

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: SHERATON SAN DIEGO
1380 HARBOR ISLAND DRIVE
MARINA TOWER, HARBOR ISLAND I
SAN DIEGO, CALIFORNIA

DATE: WEDNESDAY, JUNE 23, 2010
9:30 A.M.

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO.: 85132

I N D E X

ITEM DESCRIPTION	PAGE NO.
1. CALL TO ORDER.	4, 133
2. PLEDGE OF ALLEGIANCE.	6, 133
3. ROLL CALL.	6, 9, 133
REPORTS	
4. CHAIRMAN'S REPORT.	
5. PRESIDENT'S REPORT.	135
CONSENT CALENDAR	
6. CONSIDERATION OF MINUTES FROM 4/28-9/10 BOARD MEETING.	NONE
CLOSED SESSION	9
PUBLIC REPORT OF ANY ACTION TAKEN DURING CLOSED SESSION.	NONE
ACTION ITEMS	
8. CONSIDERATION OF RECOMMENDATIONS FROM GRANTS WORKING GROUP REGARDING APPLICATIONS SUBMITTED IN RESPONSE TO RFA 09-03 CIRM STEM CELL TRANSPLANTATION IMMUNOLOGY RESEARCH AWARDS.	12
A) EXTRAORDINARY PETITION FOR APPLICATION RM1-01705	46
B) EXTRAORDINARY PETITION FOR APPLICATION RM1-01717	34
C) EXTRAORDINARY PETITION FOR APPLICATION RM1-01721	65
D) EXTRAORDINARY PETITION FOR APPLICATION RM1-01731	73
I) EXTRAORDINARY PETITION FOR APPLICATION RM1-01733 LATE	59
CLOSED SESSION	24

PUBLIC REPORT OF ANY ACTION TAKEN DURING CLOSED SESSION.	NONE
--	------

ACTION ITEMS

10. CONSIDERATION OF CIRM BUDGET FOR FISCAL YEAR 2010-2011.	187
---	-----

11. CONSIDERATION OF PROPOSED NEW MEMBER FOR STANDARDS WORKING GROUP.	87
---	----

12. CONSIDERATION OF PROPOSED NEW SCIENTIFIC MEMBERS FOR GRANTS WORKING GROUP.	88
--	----

13. CONSIDERATION OF CONCEPT PROPOSAL FOR BASIC BIOLOGY III AWARDS.	90
---	----

14. CONSIDERATION OF TECHNICAL AMENDMENT TO COMPENSATION PROGRAM.	110
---	-----

ADDENDUM

15. CONSIDERATION OF UPDATE REGARDING SB 1064 (ALQUIST)	NONE
---	------

DISCUSSION ITEMS

16. DISCUSSION OF DEVELOPMENT CANDIDATE PORTFOLIO REVIEW.	157
---	-----

17. REVIEW OF STRATEGIC FINANCIAL PROJECTIONS.	208
--	-----

18. PUBLIC COMMENT	243
--------------------	-----

BARRISTERS' REPORTING SERVICE

1 SAN DIEGO, CALIFORNIA; WEDNESDAY, JUNE 23, 2010

2 09:50 A.M.

3
4 CHAIRMAN KLEIN: THANK YOU VERY MUCH. IT
5 WAS A TREMENDOUS PRESENTATION ON ALS. THIS DISEASE
6 TEAM HOLDS OUT GREAT CHALLENGES AND GREAT HOPES. WE
7 WISH YOU THE VERY BEST.

8 I'D LIKE TO BEGIN THIS MORNING BY MELISSA
9 KING LEADING US IN THE FLAG SALUTE FOLLOWED BY THE
10 ROLL CALL, AND WE'RE GOING TO GO DIRECTLY INTO THE
11 PRESIDENT'S REPORT. MELISSA KING.

12 (THE PLEDGE OF ALLEGIANCE.)

13 MS. KING: DONALD DAFOE FOR RICARDO AZZIZ.

14 DR. DAFOE: HERE.

15 MS. KING: ROBERT PRICE FOR ROBERT
16 BIRGENEAU.

17 DR. PRICE: HERE.

18 MS. KING: FLOYD BLOOM. DAVID BRENNER.

19 DR. BRENNER: HERE.

20 MS. KING: WILLIAM BRODY. SUSAN BRYANT.

21 DR. BRYANT: HERE.

22 MS. KING: MARCY FEIT. MICHAEL FRIEDMAN.

23 DR. FRIEDMAN, I UNDERSTAND, WILL BE
24 JOINING US BY PHONE THIS MORNING. I'LL COME BACK TO
25 HIM.

BARRISTERS' REPORTING SERVICE

1 LEEZA GIBBONS. MICHAEL GOLDBERG. SAM
2 HAWGOOD.
3 DR. HAWGOOD: HERE.
4 MS. KING: BOB KLEIN.
5 CHAIRMAN KLEIN: HERE.
6 MS. KING: SHERRY LANSING. GERALD LEVEY.
7 TED LOVE. ED PENHOET. PHIL PIZZO. CLAIRE POMEROY.
8 DR. POMEROY: HERE.
9 MS. KING: EXCELLENT. THANK YOU, DR.
10 POMEROY, JOINING US BY PHONE.
11 FRANCISCO PRIETO.
12 DR. PRIETO: HERE.
13 MS. KING: CARMEN PULIAFITO. ROBERT
14 QUINT.
15 DR. QUINT: PRESENT.
16 MS. KING: JOHN REED.
17 DR. REED: HERE.
18 MS. KING: DUANE ROTH.
19 MR. ROTH: HERE.
20 MS. KING: JOAN SAMUELSON. DAVID
21 SERRANO-SEWELL. JEFF SHEEHY. JON SHESTACK. OSWALD
22 STEWARD. AND ART TORRES.
23 MR. TORRES: HERE.
24 CHAIRMAN KLEIN: THANK YOU VERY MUCH.
25 WE'RE GOING TO HEAR FROM DR. TROUNSON ON THE

BARRISTERS' REPORTING SERVICE

1 PRESIDENT'S REPORT. WE WILL PROCEED WITH DR. OLSON
2 WHO HAS A MEETING THAT SHE HAS SCHEDULED A PLANE TO
3 CATCH ON CIRM BUSINESS, AND THEN WE ARE GOING TO GO
4 TO THE BUDGET. BY THE TIME WE GET TO THE BUDGET, WE
5 BELIEVE DR. FRIEDMAN WILL BE THERE FOR OUR QUORUM.

6 DR. FRIEDMAN: I'M ON NOW, BOB.

7 CHAIRMAN KLEIN: GOOD. GREAT. THEY'RE
8 AHEAD OF SCHEDULE. DR. TROUNSON.

9 DR. TROUNSON: THANK YOU VERY MUCH, CHAIR.
10 SO I WANTED TO -- MELISSA IS JUST GOING TO FIND ME A
11 SLIDE, BUT WE'VE JUST BEEN THROUGH THE ISSCR MEETING
12 LAST WEEK. AND THERE WERE A NUMBER OF PATIENT
13 ADVOCATES AND OTHER MEMBERS OF THE BOARD THERE AS
14 WELL AS ALL OF OUR SCIENTIFIC STAFF. IT WAS AN
15 ABSOLUTELY FABULOUS MEETING. IT REALLY WAS
16 SOMETHING VERY SPECIAL.

17 AND LET ME JUST GIVE YOU A LITTLE TINY BIT
18 OF WHAT THIS BRILLIANT SCIENCE WAS. ONE OF THOSE
19 TALKS WAS GIVEN BY RUSTY GAGE FROM THE SALK. AND HE
20 KIND OF OPENED MY MIND AGAIN INCREDIBLY. HE'S JUST
21 A FABULOUS SCIENTIST LEADING A WONDERFUL GROUP
22 THERE.

23 BUT IN THE BRAIN, THE CENTRAL NERVOUS
24 SYSTEM IS FULL OF NERVES, NEURONS AND NERVE CELLS
25 WHICH ARE ALL DIFFERENT. AND HOW DO THEY BECOME

BARRISTERS' REPORTING SERVICE

1 DIFFERENT? AT LEAST IN SOME RESPECTS HE'S ABLE TO
2 SHOW THAT THESE SO-CALLED JUMPING GENES, THE
3 TRANSPOSANS, BECOME VERY ACTIVE DURING EARLY
4 DEVELOPMENT, VERY ACTIVE AND THEY END UP BEING VERY
5 DIFFERENT IN EACH CELL. WHAT YOU DO END UP IS --

6 (PAUSE IN PROCEEDINGS.)

7 WHEN YOU JUMP INTO A TALK, YOU DON'T
8 EXACTLY KNOW WHERE IT IS, BUT JUST LET ME FILL YOU
9 IN ON THE JUMPING GENES. THAT IS PROBABLY ONE WAY
10 BECAUSE THESE JUMPING GENES WILL ALL GO OUT IN
11 DIFFERENT PLACES AS THEY MOVE AROUND IN THE GENOME,
12 AND THEY WILL SILENCE GENES IN ALL DIFFERENT WAYS.
13 THIS CAN BE INHERITABLE, OF COURSE. THESE
14 TRANSPOSANS ARE ABLE TO MOVE AROUND, BUT THEY BECOME
15 REASONABLY STABLE AFTER EARLY DEVELOPMENT. SO THEN
16 YOU'VE SET UP A WHOLE CADRE OF DIFFERENT NEURONS.

17 ONE OF THE MOST INTERESTING THINGS THAT HE
18 SAID, AND HE HADN'T REALLY SPOKEN ABOUT THIS BEFORE,
19 JUST A SIGHT INTO WHAT MIGHT BE GOING ON IN AUTISM.
20 USUALLY YOU HAVE THIS TREMENDOUS ACTIVITY OF THESE
21 TRANSPOSANS. WHAT YOU SEE IN PATIENTS WHO HAVE HAD
22 IPS CELLS MADE BACK INTO IPS CELLS FOR PATIENTS WITH
23 AUTISM, THESE CELLS HAVE AN EXTRAORDINARILY HIGH
24 ACTIVITY IN TRANSPOSANS, MUCH HIGHER THAN THE
25 SO-CALLED WILD TYPE, THE PATIENTS WHO DON'T HAVE THE

BARRISTERS' REPORTING SERVICE

1 DISEASE.

2 THIS IS THE VERY FIRST TIME I'D EVER HEARD
3 OF THAT. IF THERE THIS IS ONE OF THE -- IT STRUCK
4 ME AS SOMETHING THAT WE MIGHT BE ABLE TO GET A
5 TOEHOLD ON FOR THAT TERRIBLE DISEASE, AND IT'S ONE
6 OF THE DISEASES THAT WE'VE BEEN STRUGGLING WITH. WE
7 HAD AN AUTISM WORKSHOP. WE FELT MAKING IPS CELLS
8 WAS WORTHWHILE, BUT WE REALLY DIDN'T KNOW WHERE WE
9 MIGHT FOCUS IT. I THINK SUDDENLY WE'VE GOT A FOCUS
10 THERE THAT WE MIGHT BE ABLE TO EXPLORE IN THE BASIC
11 SCIENCE SENSE WITH AUTISM.

12 SO HERE'S SOMETHING VERY SPECIAL THROUGH
13 BASIC SCIENCE THAT YOU WOULDN'T HAVE EXPECTED, I
14 DON'T THINK. I DON'T KNOW HOW IT ACTUALLY RELATES
15 TO AUTISM, BUT IT PROBABLY CREATES SOME DEFECTIVE
16 NEURONS OR GIVES YOU POPULATIONS OF NEURONS OVER AND
17 ABOVE THOSE THAT YOU WANT. SO CERTAIN TRANSPOSAN
18 ACTIVITY IS NEEDED FOR DEVELOPMENT AND HIGH ACTIVITY
19 IS NOT.

20 THE OTHER -- I THINK THE OTHER
21 CONCENTRATION OF PAPERS WERE IN THE AREA WHERE
22 THEY'RE ABLE TO SHOW THAT IF YOU TAKE A SKIN CELL
23 FROM A PATIENT, YOU CAN DIRECTLY CHANGE IT TO THE
24 CELL THAT YOU WANT WITHOUT HAVING TO GO BACK THROUGH
25 THE IPS. YOU DON'T HAVE TO MAKE THEM

BARRISTERS' REPORTING SERVICE

1 PLURIPOTENTIAL. YOU CAN MAKE THEM DIRECTLY. SO, OF
2 COURSE, DOUG MELTON'S EARLY WORK SHOWED THAT THIS
3 WAS POSSIBLE, TO TAKE AN EXOCRINE CELL AND TURN IT
4 INTO AN ENDOCRINE CELL WITH THREE TRANSCRIPTION
5 FACTORS.

6 SO EVERYBODY IS USING NOW TRANSCRIPTION
7 FACTORS TO SEE IF THEY CAN GET THE CELLS TO GO FROM
8 THE FINAL CELL TO THE CELL THAT YOU'RE INTERESTED IN
9 WITHOUT HAVING TO GO THROUGH THE PLURIPOTENTIAL
10 CELL. SO THERE'S A WONDERFUL PAPER BY MARIUS
11 WERNIG, AND I BROUGHT THAT TO YOUR ATTENTION EARLY
12 THIS YEAR, SO I WON'T GO BACK THROUGH THAT, BUT THAT
13 WAS ONE OF THE RATHER KEY PAPERS.

14 KEVIN EGGAN WAS LOOKING AT REPROGRAMMING
15 MOUSE FIBROBLASTS TO MOTOR NEURONS. HE'S BEEN ABLE
16 TO SHOW, AGAIN, WITH A SET OF TRANSCRIPTION FACTORS,
17 HE CAN TAKE THEM TO MOTOR NEURONS. STILL LOOKING TO
18 SEE WHETHER THEY'RE REALLY FUNCTIONAL, PROPERLY
19 FUNCTIONAL CHARACTERIZED IN A PROPER WAY, AND
20 LOOKING IN THE FEASIBILITY OF THE HUMAN.

21 MAGDALENE GURTEZ REPROGRAMMING ADULT MOUSE
22 AND HUMAN ASTROCYTES TO NEURONS, SO DIRECTLY TAKING
23 THE ASTROCYTES TO NEURONS. IT'S A VERY INTERESTING
24 PATHWAY. MOST PEOPLE THOUGHT YOU COULDN'T DO THAT.
25 AND THEN WONDERFUL WORK PUBLISHED BY DEEPAK

BARRISTERS' REPORTING SERVICE

1 SRIVASTAVA AT THE GLADSTONE INSTITUTE WHERE HE'S
2 REPROGRAMMING MOUSE CARDIAC FIBROBLASTS TO
3 FUNCTIONAL CARDIOMYOCYTES WITH THREE FACTORS WITH A
4 VERY HIGH EFFICIENCY OF 17 PERCENT.

5 SO THIS DIRECT PROGRAMMING IS LOOKING
6 VERY, VERY INTERESTING. AND I THINK THAT'S THE HOT
7 AREA AT THE MOMENT, IF YOU LIKE, THAT WE PICKED UP,
8 THE SCIENCE GROUP PICKED UP FROM THAT MEETING. THIS
9 IS GOING TO BE A FAST MOVING EDGE, AND IT'S ONE THAT
10 WE NEED TO BE INTERESTED IN.

11 BUT I WANTED TO DRAW YOUR ATTENTION TO THE
12 CIRM GRANTEE JOANNA WYSOCKA, WHO WON THE OUTSTANDING
13 YOUNG INVESTIGATOR AWARD FOR THE WHOLE MEETING.
14 THERE WAS OVER 1600 POSTERS AT THIS MEETING, WHICH
15 WAS AN ENORMOUS NUMBER OF POSTERS, AND SHE WAS
16 AWARDED THE TOP POSTER. SHE'S ONE OF OUR SEED NEW
17 FACULTY AWARD GRANTEES, AND SHE IS LOOKING TO STUDY
18 HOW CELLS DETERMINE THE EVENTUAL FATE IN THE EMBRYO,
19 BUT SHE'S SPECIFICALLY LOOKING AT THE METHYL
20 TRANSFERASE COMPLEX IN THE EPIGENETIC REGULATION OF
21 DIFFERENTIATION. AND THOSE PARTS, AGAIN, ARE
22 RESPONSIBLE FOR SILENCING GENES OF THE TRITHORAX AND
23 POLYCOMB CLUSTERS. THESE ARE THE CLUSTERS THAT ARE
24 REALLY IMPORTANT IN EARLY DIFFERENTIATION AND GOVERN
25 MUCH OF THE GENE EXPRESSION SYSTEMS THERE.

BARRISTERS' REPORTING SERVICE

1 SO SHE'S A WONDERFUL YOUNG RESEARCHER.
2 AND I THINK WE SHOULD BE PROUD OF HER WINNING THAT.
3 IT'S FANTASTIC. SO I WOULD SAY OVERALL THE
4 PRESENTATIONS FROM CALIFORNIANS HAVE REALLY, REALLY
5 INCREASED IN THEIR IMPACT AND THEIR OVERALL
6 IMPORTANCE WORLDWIDE. AS I SAID, AT LEAST TWO OF
7 THOSE MAJOR DIRECT PROGRAMMING STUDIES AND ALSO THE
8 NEURAL WORK IS COMING OUT OF CALIFORNIA. SO THIS IS
9 IMPORTANT TO RECOGNIZE THAT CALIFORNIANS ARE REALLY
10 MOVING UP INTO THE FRONT LINE. AND I THINK THAT'S
11 BASICALLY BECAUSE OF THE SUPPORT THAT THEY ARE
12 RECEIVING THROUGH CIRM.

13 SO IF I CAN GO BACK TO THE FRONT, IF I
14 MAY, BUT I THINK YOU SHOULD FEEL THAT THIS IS
15 FANTASTIC AND THIS IS RECOGNITION OF ALL OUR IMPORT.

16 CHAIRMAN KLEIN: SO, PRESIDENT TROUNSON,
17 PERHAPS WE CAN TRY AND GET SOME RECOGNITION FOR THIS
18 YOUNG RESEARCHER WHO HAS RECEIVED INTERNATIONAL
19 RECOGNITION MUCH LIKE THE EARLY TRAINING GRANT
20 SCHOLAR AT UC SAN FRANCISCO WHERE THEY DID A SPECIAL
21 PIECE ON THE INDIVIDUAL. THIS IS CREATING A GREAT
22 MODEL FOR THE FUTURE FOR STUDENTS AND GRADUATE
23 STUDENTS AND POST DOCS AND THEIR INTEREST IN THIS
24 FIELD. IT WOULD BE GREAT TO SEE IF WE COULD FIND A
25 FORM OF MEDIA THAT WOULD DO A HUMAN INTEREST STORY

BARRISTERS' REPORTING SERVICE

1 BECAUSE IT'S A GREAT STORY HERE.

2 DR. TROUNSON: IT IS INDEED. AS YOU SAY,
3 COMING FROM A SEED GRANT FROM OUT OF THE FIELD INTO
4 THE FIELD, IT'S A SUCCESS STORY THAT'S WELL WORTH
5 PUBLISHING. SO I'LL ASK DON GIBBONS TO LOOK INTO
6 THAT. I KNOW HE AND HIS STAFF ARE INTERESTED IN
7 DOING THAT. AND, OF COURSE, EVERYONE AT ISSCR WAS
8 SO IN RAPTURES OVER THE WORK. IT WAS JUST FANTASTIC
9 WORK.

10 SO I THINK THERE ARE A NUMBER OF PAPERS
11 THAT I WANTED TO DRAW YOUR ATTENTION TO WHILE WE'RE
12 WAITING FOR THAT TO HAPPEN. THERE'S ONE PAPER,
13 THERE'S A LOT OF DISCUSSION ABOUT WHAT'S THE
14 DIFFERENCE BETWEEN IPS CELLS AND EMBRYONIC STEM
15 CELLS. WE HAD A VERY INTERESTING WORKSHOP JUST
16 BEFORE THE ISSCR MEETING ON NUCLEAR TRANSFER, SCNT.
17 WHEN THEY LOOKED IN THE MOUSE AND THEY WERE USING
18 EXACTLY THE SAME CELLS, SO THEY TOOK EXACTLY THE
19 SAME MICE AND THEY MADE EMBRYONIC STEM CELLS OUT OF
20 THEM AND THEN THEY MADE IPS CELLS OUT OF THE SKIN
21 CELLS. SO THESE HAVE GOT EXACTLY THE SAME GENOME
22 COMPONENT.

23 WHAT WAS THE DIFFERENCE THERE? WELL, THE
24 DIFFERENCE WAS REALLY ONLY IN ONE CLUSTER OF GENES,
25 THE DLK-1/DIO3 GENE CLUSTER. IT'S ON CHROMOSOME 12.

BARRISTERS' REPORTING SERVICE

1 EVERYTHING ELSE WAS THE SAME. THAT WAS THE ONLY
2 DIFFERENCE. AND THESE IPS CELLS, WHEN YOU MAKE IPS
3 CELLS, THEY'RE NOT VERY GOOD AT FORMING CHIMERIC
4 MICE. THEY'RE MUCH MORE DIFFERENT THAN EMBRYONIC
5 STEM CELLS, AND THEY'RE VERY DIFFICULT TO MAKE A
6 WHOLE MOUSE OUT OF TETRAPLOID COMPLEMENTATION. IT'S
7 REALLY DIFFICULT TO DO THAT WITH IPS CELLS.

8 WELL, IN IPS CELLS WHERE YOU'VE GOT A
9 NORMAL EXPRESSION OF THIS CLUSTER, BECAUSE IT'S AN
10 IMPRINTED CLUSTER, IT IS IMPRINTED IN ONE RESPECT BY
11 THE MATERNAL AND ONE RESPECT BY THE PATERNAL, SO
12 THERE'S DIFFERENCES IN THIS PARTICULAR CLUSTER. IF
13 THEY WERE NORMAL, YOU GOT EXACTLY -- YOU RETURN THE
14 CELLS TO EXACTLY THE SAME AS EMBRYONIC STEM CELLS.
15 IF YOU CORRECTED THAT IMPRINTING DEFECT IN THAT ONE
16 CLUSTER, THEY BECAME EXACTLY THE SAME AS EMBRYONIC
17 STEM CELLS. AND SO IT'S THE EXPRESSION STATE IN
18 THIS STUDY OF JUST THIS SINGLE IMPRINTED GENE
19 CLUSTER THAT'S DIFFERENT BETWEEN IPS CELLS AND
20 EMBRYONIC STEM CELLS, WHICH GIVES DIFFERENCE TO HOW
21 WELL THEY WILL DIFFERENTIATE INTO DIFFERENT CELLS.

22 WE NEED TO FOLLOW THAT THROUGH WITH OTHER
23 MOUSE MODELS, BUT THEN ALSO LOOK AT THE HUMAN. AND
24 THE ONLY WAY YOU WOULD DO THAT WOULD BE MAKE IPS
25 CELLS OUT OF HUMAN EMBRYONIC STEM CELLS BECAUSE YOU

BARRISTERS' REPORTING SERVICE

1 CAN'T DO EXACTLY THE SAME EXPERIMENT AS YOU CAN IN
2 THE MOUSE. SO I THINK THAT'S INTERESTING, THAT
3 THERE ARE REALLY ONLY VERY SMALL DIFFERENCES BETWEEN
4 THESE CELLS, AT LEAST IN THIS PARTICULAR STUDY. AND
5 THAT WAS ONE PUBLISHED BY CONRAD HOCHEDLINGER'S LAB
6 IN *NATURE*.

7 SO THIS NEXT STUDY IS ONE FROM TOM LANES'S
8 LABORATORY PUBLISHED IN *PNAS*. AND HE WORKS ON MS,
9 WHICH IS AN IMPORTANT DISEASE, AND IT'S NOT ONE
10 WE'VE GOT A LOT OF TRACTION ON AT THE MOMENT. BUT
11 MS IS A DEMYELINATING DISEASE WHERE THERE'S
12 INFLAMMATION AND PROGRESSIVE LOSS OF MYELIN SHEATHS.
13 SO YOU GET A LOSS OF MESSAGE TRANSMISSION DOWN THE
14 AXONS, SO YOU CAN CREATE A PHENOTYPE BY INFECTING
15 THE MICE WITH VIRUSES. AND THE CXCL12 IS AN
16 INFLAMMATORY CYTOKINE. AND YOU OFTEN GET AN
17 INFLAMMATORY SITE WHERE YOU ARE LOSING YOUR MYELIN
18 SHEATHS IN THE CENTRAL NERVOUS SYSTEM.

19 NOW, THIS INFLAMMATORY CYTOKINE IS
20 RECRUITED BY CXCR5 RECEPTOR, WHICH IS A VERY
21 IMPORTANT RECEPTOR IN THE INFLAMMATORY RESPONSE
22 AREA. NOW, IF YOU SURGICALLY ENGRAFT NEURAL STEM
23 CELLS AS SHOWN UP THERE IN GREEN, IF YOU CAN SEE
24 THEM, AND THAT RESULTS IN MIGRATION AND
25 PROLIFERATION AND DIFFERENTIATION TO THESE MYELIN

BARRISTERS' REPORTING SERVICE

1 PRODUCING CELLS, THE OLIGODENDROCYTE PROGENITORS,
2 WHICH REMYELINATE THE NEURONS.

3 AND WHAT'S HAPPENING THERE WITH THOSE
4 GREEN CELLS, YOU SEE THAT THEY'RE CO-RELATED TO
5 ANTI-CXCL12. SO THE EXPRESSION OF CXCL12 IS IN
6 EXACTLY THE SAME SITE AS THOSE GREEN CELLS, SO WHEN
7 THEY GO THERE, THEY'RE ENHANCED. THIS IS A WAY
8 OF -- THIS MOLECULE IS ONE WHICH IS ENABLING THE
9 TRAFFICKING OF THESE CELLS AND THE FUNCTIONING OF
10 THESE CELLS. IF YOU BLOCK CXCR4, YOU GET KIND OF
11 THE SAME RESPONSE.

12 SO NOW WE KNOW ONE OF THE KEY MOLECULES
13 THAT'S IN THAT AREA OF TRAFFICKING OF THESE CELLS TO
14 THE SITES THAT THEY NEED TO GET TO. AND THAT'S A
15 VERY IMPORTANT NEW OBSERVATION.

16 THERE'S ALSO, I DRAW YOUR ATTENTION TO
17 THIS BECAUSE THERE'S A LOT PEOPLE WHO SAY YOU CAN
18 FIND PLURIPOTENTIAL CELLS IN SKIN AND FAT AND SO
19 FORTH. THERE IS A PAPER OUT OF THE KYOTO UNIVERSITY
20 PUBLISHED IN *PNAS* IN MARCH WHERE THEY ARE ABLE TO
21 ISOLATE SINGLE CELLS OUT OF SKIN. AND THEY DID IT
22 REALLY BY DOING LONG-TERM TRYPSINIZATION OF THE
23 TISSUE, WHICH IS A COMMON PROCEDURE. BUT LONG TERM
24 MEANS YOU HAVE TO DO IT OVER AND OVER AND OVER
25 AGAIN. AND THEY FOUND VERY RARE CELLS IN THAT SKIN

BARRISTERS' REPORTING SERVICE

1 WHICH WERE ABLE TO FORM ECTODERM, ENDODERM, AND
2 MESODERM, WHICH IS THE PRIMARY CHARACTERISTICS OF
3 PLURIPOTENTIAL STEM CELLS. SO THEY'RE RARE CELLS
4 THAT THEY FIND. THESE CELLS INTEGRATED INTO DAMAGED
5 LIVER, SKIN, AND MUSCLE, BUT THEIR PROLIFERATION WAS
6 NOT VERY HIGH, AND THEY DIDN'T FORM TERATOMAS.

7 I QUESTION WHETHER THESE ARE REALLY
8 PLURIPOTENTIAL, BUT YOU DO FIND THEM THERE
9 OCCASIONALLY. I THINK WE'RE GOING TO SEE SOME
10 STRANGE OBSERVATIONS THAT MIGHT BE EXPLAINED BY
11 THESE RARE CELLS IN SOME PLACES LIKE SKIN. AND
12 PERHAPS THIS IS SOMETHING THAT WE OUGHT TO KEEP IN
13 MIND IN TRYING TO INTERPRET SOME OTHER DATA THAT
14 WILL BE COMING THROUGH, I THINK, IN THE NEXT FEW
15 MONTHS ABOUT HOW CELLS THAT WERE MEANT TO BE
16 MESENCHYMAL CELLS ACTUALLY GET TO FORM SKIN.

17 SO BIOLOGY IS NEVER ABSOLUTE. IT'S
18 RELATIVE. AND I THINK WE ALL NEED TO KEEP IN MIND
19 THAT THERE ARE SOME STRANGE THINGS THAT DO HAPPEN AT
20 TIMES.

21 THERE'S SOME GREAT WORK THAT WAS PUBLISHED
22 FROM THE WELLCOME TRUST SANGER INSTITUTE IN
23 CAMBRIDGE ON *SCIENCEEXPRESS* BY LI, ET AL., WHICH
24 SHOWS THAT YOU CAN CHANGE T-CELLS, WHICH ARE
25 CRITICAL FOR ADAPTIVE IMMUNITY, INTO NK CELLS. SO

BARRISTERS' REPORTING SERVICE

1 WHAT YOU DO THERE IS, AGAIN, IT'S SOME OF THIS WORK
2 IS AGAIN ON THESE TRANSCRIPTION FACTORS, SO
3 IMPORTANT THESE TRANSCRIPTION FACTORS IN TAKING ONE
4 CELL TO ANOTHER. SO THIS IS ANOTHER DIRECT
5 PROGRAMMING MOVE.

6 SO IF YOU DELETE THIS BC11B, WHICH IS
7 EXPRESSED IN ALL T-CELLS, THEY ESSENTIALLY BECOME NK
8 CELLS. AND SO THAT'S A PRETTY INTERESTING
9 OBSERVATION, I THINK, AND ONE WHICH COULD BE
10 UTILIZED IN PLACES THAT ARE INTERESTED IN THE IMMUNE
11 RESPONSE, BUT IS ANOTHER EXAMPLE OF THIS DIRECT
12 PROGRAMMING THAT I TOLD YOU ABOUT.

13 THERE'S A BEAUTIFUL STUDY PUBLISHED IN
14 *NATURE* ON DISEASE IN A DISH ON THE LEOPARD SYNDROME.
15 THIS IS AN AUTOSOMAL-DOMINANT GENETIC DISORDER WHERE
16 YOU GET THE MAJOR PHENOTYPE IS HYPERTROPHIC
17 CARDIOMYOPATHY, SO VERY LARGE HEART. AND THE IPS
18 CELLS FROM THESE PATIENTS PRODUCE LARGER
19 CARDIOMYOCYTES IN CULTURE WITH A HIGH DEGREE OF
20 SARCOMERIC ORGANIZATION -- THEY'RE THE STRIPES THAT
21 YOU SEE ON THE CARDIOMYOCYTES -- AND A PREFERENTIAL
22 LOCALIZATION OF NFATC4 IN THE NUCLEUS COMPARED TO
23 UNAFFECTED SIBLING CONTROLS.

24 SO THIS IS A NICE DEMONSTRATION OF DISEASE
25 IN A DISH AGAIN. SO IT PROVIDES AN OPPORTUNITY TO

BARRISTERS' REPORTING SERVICE

1 DETERMINE THE MOLECULAR AND SIGNALING PATHWAYS THAT
2 CAUSE THE PHENOTYPE OF LEOPARD SYNDROME AND ALSO
3 ENABLES THE DESIGN OF HIGH THROUGHPUT SCREENING FOR
4 NEW DRUGS TO TREAT THE DISEASE.

5 QUICKLY, MY PRIORITIES HAVE BEEN ON THE VP
6 R&D SEARCH. WE'RE HAVING DIFFICULTIES IN THAT AREA,
7 DIFFICULTIES IN ATTRACTING SOMEONE BECAUSE OF THE
8 HIGH SALARIES OF THESE VERY COMPETENT PEOPLE IN THE
9 INDUSTRY TO JOIN US. SO WE'RE STILL IN RESEARCH
10 MODE AT THE MOMENT, BUT I SHOULD LET YOU KNOW THAT
11 ALAN LEWIS, WHO JUST STEPPED DOWN FROM THE JUNIOR
12 DIABETES RESEARCH FOUNDATION, IS GOING TO JOIN US AS
13 A CONSULTANT TO WORK TWO OR THREE DAYS TO HELP US
14 WITH THE CLINICAL, PRECLINICAL PROGRAMS. HE'S A
15 TERRIFIC GUY. I THINK MOST OF YOU WOULD KNOW HIM.
16 AND HE'S AGREED TO BECOME A CONSULTANT FOR THE TIME
17 BEING WHILE WE'RE STILL IN SEARCH MODE.

18 CHAIRMAN KLEIN: AND THAT'S TWO OR THREE
19 DAYS A WEEK.

20 DR. TROUNSON: YES.

21 CHAIRMAN KLEIN: NOT TWO OR THREE DAYS.

22 DR. TROUNSON: TWO OR THREE DAYS A WEEK.
23 SORRY, CHAIR. I'M TRYING TO BE QUICK, OBVIOUSLY A
24 BIT TOO QUICK. BUT I THINK THE BOARD SHOULD FEEL
25 THAT WE'RE DOING OUR BEST IN THIS RESPECT. WE'VE

BARRISTERS' REPORTING SERVICE

1 HAD SOME DIFFICULTIES, BUT WE'RE STILL ON TRACK TO
2 DO THAT. BUT WITHOUT HAVING SOMEONE LIKE TED LOVE
3 ON BOARD, WE'RE REALLY STRUGGLING IN ORDER TO DO ALL
4 THE THINGS THAT YOU REQUIRE OF US PROPERLY. SO
5 HAVING ALAN PRESENT WILL CERTAINLY HELP GOING
6 THROUGH THIS PARTICULAR SPACE.

7 WE'VE BEEN LOOKING AT CALIFORNIA STEM CELL
8 LEADERSHIP, AND I THINK YOU WILL SEE SOME REALLY
9 INTERESTING THINGS HAPPENING THERE. THESE ARE THE
10 LEADERSHIP PROGRAM AWARDS COMING FORWARD AGAIN. WE
11 HAVE A NEW ROUND THAT'S NEARBY TO US.

12 LOOKING AT FINANCIAL FORECASTING AND THE
13 CIRM MISSION, I WANT YOU TO HEAR JOHN ROBSON'S
14 PRESENTATION BECAUSE I THINK IT'S REALLY CRITICAL TO
15 HAVE AN UNDERSTANDING OF WHAT WE'VE GOT AND HOW FAR
16 WE CAN GO. ESSENTIALLY IF WE KEEP GOING AS WE ARE,
17 WE MIGHT FINISH MAKING ANY NEW GRANTS IN 2014. NOW,
18 THAT'S ONLY FOUR YEARS AWAY. SO I WANT YOU TO THINK
19 ABOUT -- I'D LIKE YOU TO THINK ABOUT THOSE THINGS.
20 AND I WANT JOHN TO BE ABLE TO PRESENT THAT TO YOU
21 BECAUSE WE NEED -- IT'S FOR INFORMATION, AND IT
22 NEEDS TO GET INTO THE THOUGHT FOR THE BOARD. AND
23 IMPORTANTLY, OUR MISSION IS THE FOCUS OF WHAT I WAS
24 HIRED TO DO, SO DELIVERING THAT MISSION IS VERY
25 IMPORTANT TO ME AND TO ALL OF US IN THE MANAGEMENT

BARRISTERS' REPORTING SERVICE

1 TEAM.

2 WE'VE HAD THE ISSCR AND THE CIRM
3 REGULATORY WORKSHOP. IT WAS A GREAT WORKSHOP. THE
4 OUTCOMES WILL BE DRAFTED UP, AND WE'LL PROVIDE YOU
5 WITH INFORMATION ON THAT.

6 THOSE WHO ARE INTERESTED IN THE REGULATORY
7 PATHWAYS WILL BE -- I THINK YOU WILL BE PLEASED TO
8 HEAR THAT ALL OF THE REGULATORY BODIES WANT TO WORK
9 IN A HARMONIZED WAY. AND SO IT'S A MATTER OF
10 ENCOURAGING THE PATHWAY TO BE COMMON SO THAT AS WE
11 WORK ACROSS INTERNATIONAL AND INTERSTATE BORDERS,
12 THAT WE'VE GOT SOME COMMONALITY WITH RESPECT TO THE
13 REGULATION AREAS.

14 WE HAD A WONDERFUL SCNT WORKSHOP. WE'LL
15 GET YOU THE OUTCOMES OF THAT. I THINK WE MIGHT NEED
16 A VERY SPECIALIZED RFA OR CALL ON THAT PARTICULAR
17 AREA, BUT LET ME GET YOU THE WORKSHOP OUTCOMES. MY
18 FEELING IS IN CONCERT WITH WHAT THE SCIENTISTS
19 THINK. AND ALL THE SCIENTISTS WERE OF CONSENSUS
20 VIEW THAT WE SHOULD DO THAT PERHAPS IN A MORE
21 COMPLEMENTARY WAY WITH THE FEW PEOPLE IN OTHER
22 COUNTRIES AND OTHER STATES WHO ARE DOING THAT WORK.

23 THERE'S A CIRM REVIEW COMING UP, AND WE'VE
24 STARTED TO PROVIDE A PROGRAM FOR GETTING ORGANIZED
25 FOR THAT REVIEW IN OCTOBER. THERE ARE

BARRISTERS' REPORTING SERVICE

1 COMMUNICATIONS, COLLABORATIVE FUNDING AGREEMENTS,
2 AND CONTRACTS THAT ARE KEEPING US BUSY TRYING TO
3 MOVE FORWARD. MY INTEREST IN CREATING CIRM
4 SCIENTIFIC CREATIVITY INTERNSHIPS FOR YOUNG
5 SCIENTISTS, GET THEM INTO THIS SPACE EARLY, GET THEM
6 ENCOURAGED, GET THEM INTERESTED BY WORKING IN
7 SEVERAL LABS SO THAT THEY SEE A SPECTRUM WHICH WILL
8 BRING NEW IDEAS FORWARD.

9 AND WE'VE BEEN BUSY WITH THE STANDARDS
10 WORKING GROUP AS WELL LOOKING AT VARIOUS THINGS
11 THERE.

12 SO THERE'S SOME NEW PERSONNEL ON BOARD.
13 MANI VESSAL, PH.D., SCIENCE OFFICER WHO IS FROM
14 STANFORD UNIVERSITY, ARIE ABO, AGAIN A PH.D. SCIENCE
15 OFFICER FROM NUVELO, INC., JENNY LAM, A GRANTS
16 MANAGEMENT SPECIALIST FROM THE KAISER RESEARCH
17 FOUNDATION INSTITUTE. IT'S VERY PLEASING TO GET
18 THESE NEW SCIENCE AND GRANTS MANAGEMENT SPECIALISTS
19 INTO THE TEAM BECAUSE WE'RE HAVING A REALLY HARD
20 TIME IN KEEPING UP. WE REALLY ARE. WE'RE UNDER A
21 LOT OF PRESSURE, THE STAFF, AND I FEEL FOR THEM. AT
22 THIS STAGE, THEY'RE REALLY HAVING A TOUGH TIME. BUT
23 WITH THESE NEW PEOPLE COMING IN, THEY NEED TO BE
24 INCORPORATED INTO THE TEAMS AND HELP US SORT OF GET
25 BUSY WITH THEIR AREAS OF EXPERTISE.

BARRISTERS' REPORTING SERVICE

1 UPCOMING RFA'S, JUST TO FILL YOU IN ON
2 THAT. THE EARLY TRANSLATIONAL II, THE FULL GRANT
3 APPLICATIONS ARE DUE BY THE END OF THIS MONTH, JUNE
4 30TH. THE REVIEW IS SET FOR SEPTEMBER, AND WE ARE
5 EXPECTING TO BRING IT TO THE ICOC IN OCTOBER. SO
6 THAT'S EARLY TRANSLATIONAL II.

7 THE TOOLS, TECHNOLOGY, AND BOTTLENECKS,
8 WE'RE IN RECEIPT OF THE PREAPS ALREADY NOW. FULL
9 GRANT APPLICATIONS IN AUGUST, THE 26TH, AND THE
10 REVIEW IN NOVEMBER AND TO THE ICOC IN JANUARY. SO
11 THESE ARE THE PROJECTS FOCUSED ON THOSE MORE APPLIED
12 TECHNOLOGIES FOR ENABLING THE WHOLE RESEARCH
13 PROGRAMS.

14 AND THE CLINICAL PROGRAM WHERE WE'RE
15 LOOKING FOR ONE OR TWO CLINICAL PROJECTS TO SUPPORT
16 WILL POST LATE IN JULY, REVIEW IN JANUARY, AND BE AT
17 THE ICOC IN MARCH.

18 UPCOMING RESEARCH LEADERSHIP AWARDS, JUST
19 TO REMIND YOU ABOUT THOSE, THERE'S A JUNE 17TH
20 DEADLINE FOR GRANTS WORKING GROUP REVIEW IN JULY AND
21 THE ICOC IN AUGUST. SO THAT WILL BE OUR SECOND ONE.
22 SEPTEMBER THE 30TH WILL BE THE NEXT APPLICATION
23 DEADLINE, GRANTS REVIEW IN NOVEMBER, ICOC IN
24 DECEMBER. AND THERE'S ALSO A DEADLINE IN DECEMBER
25 THE 2D WITH GRANTS REVIEW IN JANUARY AND TO THE ICOC

BARRISTERS' REPORTING SERVICE

1 IN FEBRUARY. SO CONTINUING TO ROLL ON.

2 I'VE BEEN THROUGH THE ISSCR. I WANT TO --
3 I'M VERY KEEN TO PROMOTE AN ONLINE TRANSLATIONAL
4 JOURNAL, WORK WITH ALL THE TRANSLATIONAL TEAMS IN
5 CALIFORNIA. THERE'S A STRONG NEED FOR SOME
6 EDUCATION AND SOME EXAMPLES OF REGULATORY ISSUES
7 EITHER POSITIVE OR NEGATIVE GOING THROUGH THIS
8 PIPELINE. FDA IS LOOKING FOR MORE REPORTS FROM
9 INDUSTRY, INDUSTRY AND ACADEMIA, BUT PARTICULARLY
10 INDUSTRY HAVE COME UP THAT PIPELINE. THEY'RE
11 LOOKING FOR THOSE KINDS OF REPORTS. THEY WOULD LIKE
12 TO SEE THEM PUBLISHED IN ONE SPACE WHERE WE CAN ALL
13 GET AT THEM, AND WE CAN ALL EDUCATE EACH OTHER.
14 WITHOUT THIS KIND OF EDUCATION, IT'S GOING TO BE A
15 MUCH HARDER TRACK.

16 THE FEW TRANSLATIONAL PAPERS THAT HAVE
17 BEEN PUBLISHED IN STEM CELLS ARE ALL OVER THE PLACE,
18 NOT EASY TO FIND, AND TEND NOT TO ACCEPT NEGATIVE
19 RESULTS. AND IN TRANSLATION, IT'S IMPORTANT TO KNOW
20 THE NEGATIVE AS WELL AS THE POSITIVE. IT'S JUST AS
21 INFORMATIVE. FDA WANT TO CONTRIBUTE TO THIS.

22 WHAT WE WOULD LIKE TO DO IS CREATE AN
23 INTEREST IN ONE OF THE JOURNALS TO BRING OUT A
24 TRANSLATIONAL JOURNAL WHICH WAS FOCUSED ON STEM CELL
25 TRANSLATION AND FOR THEM TO DO ALL OF THE

BARRISTERS' REPORTING SERVICE

1 INDEPENDENT EDITING AND ALL THE REVIEWING AND SO
2 FORTH. WE WOULD ONLY BE HELPING TO INITIATE THIS
3 BECAUSE ALL OF THE DISCUSSIONS WE'VE HAD WITH
4 JOURNALS, AT THE PRESENT TIME, TRYING TO BRING OUT A
5 NEW JOURNAL WHICH THERE'S QUITE A GAP BETWEEN THE
6 COST OF AND THE ABILITY TO GET MONEY INTO THE
7 JOURNAL, THERE'S A GAP THERE. AND UNLESS SOMEONE
8 WILL STEP UP AND HELP IN THAT GAP, IT WON'T HAPPEN.

9 I THINK A YEAR'S DELAY IN THIS WOULD
10 REALLY BADLY AFFECT THE AREA. AND I THINK THE
11 ENTIRE ACADEMIC AND BIOTECHNOLOGY INDUSTRY'S
12 REPRESENTATIVES, ALL OF THEM THAT I'VE TALKED TO,
13 ARE VERY, VERY SUPPORTIVE OF THIS. ISSCR IS ALSO
14 SUPPORTIVE. THEY WANT THEMSELVES TO HAVE A BIG
15 JOURNAL THAT COVERS ABSOLUTELY EVERYTHING, AND I
16 THINK THAT'S A VERY BIG TASK TO DO THAT. AND THAT'S
17 A MILLION DOLLARS PLUS. SO THAT'S NOT OUR GAME.
18 THAT'S UP TO THE SOCIETY, BUT THEY'RE VERY
19 SUPPORTIVE OF US HELPING TO GET A TRANSLATION
20 JOURNAL. THIS IS NOT VERY COMMON TO HAVE THOSE TYPE
21 OF JOURNALS.

22 CHAIRMAN KLEIN: MY UNDERSTANDING ON THIS
23 ITEM IS THAT YOU'RE GOING TO BRING THIS FORWARD AS A
24 MATURED PROPOSAL TO THE FINANCE COMMITTEE, AND THEN
25 WE'LL BRING THIS BACK TO THE AUGUST BOARD AND TRY

BARRISTERS' REPORTING SERVICE

1 AND HAVE A FULL PACKAGE ON ADVANCING THIS IDEA. IS
2 THAT WHERE WE'RE GOING?

3 DR. TROUNSON: I'M HAPPY TO DO THAT. WE
4 PUT IT IN THE BUDGET BECAUSE OF NEXT YEAR, AND SO
5 THAT ELEMENTALLY IS HOW IT TURNED UP IN THAT
6 RESPECT.

7 CHAIRMAN KLEIN: I THINK IT'S IMPORTANT TO
8 HAVE A FINANCIAL PROVISION SO THAT WE CAN DO IT.
9 BUT AS I UNDERSTAND IT, IN THE FINANCE COMMITTEE WE
10 OUTLINED A PATH THAT HOPEFULLY WILL BE SUCCESSFUL.

11 DR. TROUNSON: OKAY. I THINK IT'S VERY,
12 VERY IMPORTANT TO DO THAT.

13 SO LET ME SKIP TO THE UPCOMING WORKSHOPS.
14 WE'VE HAD THE MRC AND WE'VE HAD THE FIRST TWO OF
15 THOSE. THERE'S A SCIENCE COLLABORATION WORKSHOP
16 WITH THE NETHERLANDS. IT WAS INDICATED FOR THE
17 16TH. WE HAD THAT, BUT IT WAS A VERY BRIEF
18 WORKSHOP. SO WE NEED TO GO ON AND DO A PROPER
19 WORKSHOP THAT INCLUDES THE SCIENTISTS FROM BOTH THE
20 NETHERLANDS AND CALIFORNIA.

21 THERE'S A NEW YORK-CIRM SCIENCE
22 COLLABORATION PROGRAM THAT'S DUE FOR QUARTER THREE
23 AND AN IPS CELL BANKING WHICH ARE QUARTER THREE OR
24 QUARTER FOUR. IN THE CASE OF THE NEW YORK
25 FOUNDATION, THEY'RE A LITTLE BIT DIFFERENT. SO WE

BARRISTERS' REPORTING SERVICE

1 NEED TO ACTUALLY GET TOGETHER AND UNDERSTAND WHAT
2 THEY DO AND WHETHER WE CAN BE COMPLEMENTARY REALLY.
3 IT'S STILL NOT TOTALLY CLEAR TO ME THAT THAT IS THE
4 CASE, BUT WE'RE GOING TO TRY AND WORK THAT THROUGH
5 WITH THAT GROUP OF PEOPLE.

6 THE IPS BANKING IS VERY STRONGLY SUPPORTED
7 BY ALL THE STEM CELL LEADERSHIP IN CALIFORNIA. SO
8 WE NEED TO HAVE A WORKSHOP TO DISCUSS THAT IN A MORE
9 OPEN WAY AND GET VARIOUS INPUTS INTO THAT, BUT IT
10 SHOULD BE A VERY INTERESTING PROGRAM. I'M GOING
11 FAIRLY QUICKLY TO GET THROUGH IT ON TIME.

12 THE BRIDGES PROGRAM IS GOING TO BE HELD
13 JULY 8TH AND 9TH IN SAN FRANCISCO. IT'S THE ANNUAL
14 MEETING FOR THE BRIDGES TRAINEES, PROGRAM DIRECTORS,
15 AND MENTORS AND FEATURES POSTER PRESENTATIONS BY THE
16 TRAINEES, GUEST SPEAKERS NETWORKING AND EDUCATIONAL
17 SESSION. I THINK THOSE TRAINEES THAT DID GET TO THE
18 ISSCR WERE A BIT OVERWHELMED, BUT I THINK THEY'LL
19 FIND THEMSELVES VERY MUCH AMONGST THEIR PEERS IN
20 THIS MEETING.

21 CHAIRMAN KLEIN: IN THAT REGARD, IT WAS A
22 VERY REWARDING EXPERIENCE FOR MANY OF THEM. SCRIPPS
23 HAS 12 POSTERS. SIX OF THE 12 POSTERS FROM SCRIPPS
24 WERE BRIDGES STUDENTS, WHICH IS ENORMOUS
25 ACCOMPLISHMENT FOR THOSE STUDENTS, A GATEWAY TO

BARRISTERS' REPORTING SERVICE

1 OPPORTUNITY. ONE OF THEM IS A MASTER'S STUDENT THAT
2 I HAPPENED TO SIT NEXT TO ON THE PLANE IS NOW
3 DEDICATED TO GOING INTO A DOCTORAL PROGRAM, HAS A
4 PRIOR DEGREE IN PHYSICS AND A PRIOR DEGREE IN
5 BIOTECHNOLOGY, BUT NOW IS ON STEM CELL RESEARCH.

6 DR. TROUNSON: THEY'RE TERRIFIC. WHEN YOU
7 MEET THESE YOUNG PEOPLE WHERE THEY'RE DOING WELL,
8 THEY'RE SO KEEN TO BE INVOLVED AND SO DETERMINED TO
9 DO WELL, IT'S INSPIRATIONAL. YOU'RE RIGHT.

10 SO IN MATTERS OF SIGNIFICANCE, I'VE ASKED
11 PAT OLSON TO SPEAK TO YOU ABOUT THE KIND OF PROGRAMS
12 OF DISEASE APPLICATIONS SO YOU HAVE IN YOUR MIND
13 WHERE WE ARE WITH THAT. I THINK A REMINDER OF THAT
14 WOULD BE HELPFUL FOR THE BOARD TO UNDERSTAND WHAT
15 WE'VE GOT IN OUR PORTFOLIO AND WHERE WE'RE GOING.

16 AS I SAID, FORECASTING OF EXPENDITURES TO
17 MATCH OUR MISSION IS SOMETHING THAT I'VE ASKED JOHN
18 ROBSON TO WORK ON, AND I THINK IT'S VERY IMPORTANT
19 FOR THE BOARD TO HAVE SOME IDEA OF THE EXPENDITURE
20 AND WHAT WE NEED TO DO TO MEET THE MISSION.

21 OTHERWISE WE WILL HAVE TO CHANGE THE MISSION IN
22 ORDER TO BE ABLE TO DO IT. SO, AGAIN, I'VE TALKED
23 TO THE SCIENTISTS, THE HEADS OF THE RESEARCH TEAMS
24 IN CALIFORNIA, AND THERE'S A VERY STRONG CONSENSUS
25 VIEW THAT WE NEED TO STICK WITH OUR MISSION. SO

BARRISTERS' REPORTING SERVICE

1 FROM THEIR POINT OF VIEW, THEY'RE STRONGLY
2 SUPPORTIVE OF US MAKING ADJUSTMENTS TO BE ABLE TO
3 MEET THE MISSION AND DELIVER IT AS IT WAS SET OUT IN
4 PROPOSITION 71.

5 SO, CHAIR, I THINK THAT'S ALL FROM ME.
6 AND THEN THERE'S THE BUDGET ALLOCATION AND
7 EXPENDITURE REPORT. UP UNTIL NOW, DO YOU WANT JOHN
8 ROBSON TO SPEAK TO THAT?

9 CHAIRMAN KLEIN: I TALKED TO DR. ROBSON,
10 AND I'D LIKE TO, GIVEN THE TIME CONSTRAINTS ON DR.
11 OLSON, TO SEE IF I COULD HAVE DR. OLSON PRESENT, AND
12 THEN DR. ROBSON IS GOING TO PRIORITIZE THE BUDGET IS
13 MY UNDERSTANDING.

14 DR. ROBSON: MAKE THAT DECISION BASED ON
15 THE QUORUM.

16 CHAIRMAN KLEIN: THAT'S RIGHT. AND THEN
17 WE'RE GOING TO GO INTO A SECOND REPORT FROM DR.
18 ROBSON. AT YOUR PLEASURE, DR. TROUNSON.

19 DR. TROUNSON: JUST ONE MINOR THING TO
20 JOANNE WYSOCKA'S AWARD. SHE CITED CIRM AS BEING
21 RESPONSIBLE FOR BRINGING HER INTO THE STEM CELL
22 FIELD. SO THAT WAS -- I THINK IT WAS A NICE PUBLIC
23 SUPPORT FOR WHAT WE'VE BEEN DOING, AND IT'S NICE TO
24 RECEIVE THOSE RECOGNITION.

25 DR. OLSON: MR. CHAIRMAN, MEMBERS OF THE

BARRISTERS' REPORTING SERVICE

1 BOARD, MEMBERS OF THE AUDIENCE, AND STAFF, WHAT I'D
2 LIKE TO DO TODAY IS TALK TO YOU A LITTLE BIT ABOUT
3 OUR DEVELOPMENT PORTFOLIO. AND THE REASON I WANT TO
4 DO THIS IS I THINK IT'S IMPORTANT FOR YOU TO
5 UNDERSTAND WHAT'S IN OUR CURRENT DEVELOPMENT
6 PORTFOLIO. AND I THINK IT'S IMPORTANT FOR YOU TO BE
7 AWARE OF WHAT'S IN OUR PORTFOLIO BECAUSE YOU AS
8 MEMBERS OF THE BOARD AND THOSE OF YOU WHO ARE ALSO
9 MEMBERS OF THE GRANTS WORKING GROUP ENGAGE IN
10 PROGRAMMATIC DECISION-MAKING LOTS OF TIMES. SO I
11 THINK IT'S REALLY IMPORTANT FOR YOU TO UNDERSTAND
12 WHAT IT IS WE'RE WORKING WITH.

13 FIRST, I JUST WANT TO REMIND YOU WHAT I AM
14 DEFINING AS OUR DEVELOPMENT PORTFOLIO. CIRM HAS
15 FUNDED THE FIRST ROUND OF TWO REPEATING PROGRAMS
16 THAT ARE PART OF OUR PIPELINE STRATEGY TO DEVELOP
17 THERAPIES FOR PATIENT BENEFIT. AND AS EMBODIED IN
18 TRYING TO MEET THAT MISSION, WE HAVE TWO STRATEGIC
19 GOALS. ONE OF THEM THAT THIS BOARD APPROVED BACK
20 WHEN THEY APPROVED THE STRATEGIC PLAN, AND ONE OF
21 THEM IS TO HAVE PROOF OF CONCEPT FOR
22 PLURIPOTENT-DERIVED CELL THERAPY, WHICH TYPICALLY
23 MEANS A PHASE II RESPONSE, AND FOR HAVING SEVERAL
24 STEM CELL-DERIVED THERAPIES IN PHASE I AND II.

25 SO THE TWO PROGRAMS THAT I'M TALKING ABOUT

BARRISTERS' REPORTING SERVICE

1 ARE THE DEVELOPMENT CANDIDATE AWARDS THAT WERE
2 ISSUED AS PART OF OUR FIRST EARLY TRANSLATIONAL
3 AWARDS PROGRAM, AND THAT INCLUDES EIGHT AWARDS. AND
4 YOU CAN SEE, THE GOAL OF THOSE PROGRAMS WAS
5 ESSENTIALLY TO GET A CANDIDATE THAT WAS READY FOR
6 WHAT I'LL CALL IND ENABLING PRECLINICAL DEVELOPMENT.
7 AND THEN IT ALSO ENCOMPASSES THE 14 AWARDS THAT ARE
8 PART OF OUR FIRST ROUND OF THE DISEASE TEAM PROGRAM.
9 AND THE GOAL OF THAT ONE WAS TO ACTUALLY FILE AN IND
10 THAT THEN, ONCE APPROVED TO GO FORWARD, COULD MOVE,
11 ALLOW THERAPIES, CANDIDATES THERAPIES TO MOVE INTO
12 PHASE I.

13 WHAT I'D LIKE TO DO IS TO BRIEFLY
14 SUMMARIZE THE CHARACTERISTICS OF THIS PORTFOLIO AND
15 THEN JUST ADDRESS SOME OF THE CONSIDERATIONS
16 RELEVANT TO IT.

17 SO IF YOU LOOK AT THIS SLIDE, WHAT I'VE
18 DONE HERE IS I'VE JUST PLACED THE CANDIDATES ON THIS
19 PIPELINE. AND THE POINT I WANT TO MAKE FIRST IS
20 THAT THE BOXES ARE THE EARLY TRANSLATIONAL PROJECTS,
21 THE TRIANGLES ARE THE DISEASE TEAM PROJECTS, THE
22 LARGE TRIANGLES ARE THOSE DISEASE TEAM PROJECTS THAT
23 UTILIZE PLURIPOTENT STEM CELLS. AND AS YOU CAN SEE
24 IN GENERAL, THE DISEASE TEAM, AS WOULD BE EXPECTED,
25 IS SOMEWHAT MORE ADVANCED THAN THE EARLY

BARRISTERS' REPORTING SERVICE

1 TRANSLATIONAL, SO THIS IS NOT SURPRISING.

2 I ALSO WANT TO BRING YOUR ATTENTION TO THE
3 TIME COMPONENT. THIS IS, I THINK, PROBABLY
4 REASONABLE. ESSENTIALLY THE PRECLINICAL RESEARCH,
5 PRECLINICAL DEVELOPMENT PHASE IS ROUGHLY WE'RE
6 ALLOWING FOUR YEARS, AND THAT PRETTY MUCH TIES IN
7 WITH WHAT WE'VE PUT OUT FOR OUR DISEASE TEAM
8 PROGRAM. WE'RE GIVING THOSE TEAMS FOUR YEARS TO TRY
9 AND REACH THEIR GOAL OF AN IND FILING. THOSE THAT
10 ARE EARLIER MIGHT BE EXPECTED TO TAKE LONGER. I
11 WOULD ALSO POINT OUT THAT TO GET TO THE END OF A
12 PHASE II PROGRAM, INCLUDING A PHASE I IS LIKELY TO
13 BE, YOU KNOW, THREE TO SEVEN ADDITIONAL YEARS
14 DEPENDING, AGAIN, ON THE THING. I'VE PUT UP A
15 FIVE-YEAR JUST FOR -- BUT THE POINT IS IT TAKES TIME
16 TO GET TO THE END OF PHASE II, AND THIS IS WHERE
17 WE'RE STARTING FROM WITH THIS PARTICULAR PIPELINE.

18 I WOULD JUST REMIND YOU MONEY. AS YOU
19 WELL KNOW, OUR DISEASE TEAM PROGRAM IS \$225 MILLION.
20 NOT ALL OF THOSE WILL SUCCEED. AND SO THE DOLLARS
21 THAT IT WILL BE TAKING TO GET TO AN END OF PHASE II
22 TO PUT THINGS INTO THE CLINIC WILL BE SUBSTANTIAL.
23 AND I THINK YOU'VE ALL HEARD THE FIGURE 800 MILLION
24 TO GET TO A PRODUCT. NOW, THAT'S A PRODUCT, NOT
25 THAT. BY THE WAY, THAT NUMBER IS TOTALLY OUT OF

BARRISTERS' REPORTING SERVICE

1 DATE. WE'VE HEARD ESTIMATES AS HIGH AS FOUR BILLION
2 TO GET TO A PRODUCT, AND THAT INCLUDES ALL THE
3 FAILURES IN RESEARCH AND EVERYTHING. THIS IS AN
4 EXPENSIVE BUSINESS.

5 IF YOU CONSIDER THAT ONE OF OUR GOALS IS
6 TO GET TO PROOF OF CONCEPT FOR A PLURIPOTENT
7 DERIVED, I WOULD NOTE WE HAVE, WHAT, FOUR OR FIVE IN
8 THIS CATEGORY. AND, YOU KNOW, THAT ACTUALLY WILL BE
9 A VERY BIG DEAL. I SHOULD ALSO POINT OUT THAT, YOU
10 KNOW, THE NUMBER OF PEOPLE INVOLVED IN THE
11 PLURIPOTENT SPACE, IT'S A RELATIVELY YOUNG FIELD.
12 WE NEED TO KEEP REMEMBERING THAT. AND SO OUR
13 FUNDING IS HELPING TO MOVE MORE INTO IT, BUT
14 NONETHELESS IT WILL TAKE TIME AND MONEY TO GET TO A
15 SUCCESSFUL END GAME.

16 SO I JUST WANT TO OUTLINE SOME OF THE
17 CHARACTERISTICS OF THIS PORTFOLIO. AND, AGAIN, THIS
18 IS LOOKING AT BOTH OF THEM. WHAT ARE THE CELLS THAT
19 ARE USED AS SOURCE FOR THE CANDIDATE THERAPEUTIC OR
20 AS TARGETS? AND THE GREEN HERE, SO I HAVE THREE
21 THAT ARE SHADED IN GREEN. WE HAVE EIGHT CANDIDATES,
22 THE FIVE AND THE THREE, THAT ARE ESSENTIALLY
23 PLURIPOTENT DERIVED, OR THE CANDIDATE THERAPEUTIC IS
24 BASED ON A PLURIPOTENT SOURCE, EITHER HUMAN
25 EMBRYONIC STEM CELL OR IPSC. THE THREE REFERS TO

BARRISTERS' REPORTING SERVICE

1 WHERE THERE'S MULTIPLE; THAT IS, IT MAY INCLUDE AN
2 ADULT STEM CELL, BUT IT ALSO INCLUDES A PLURIPOTENT
3 STEM CELL. THESE ARE TEAMS THAT ARE COMPARING
4 SEVERAL CELL TYPES AND ARE GOING TO MAKE A DECISION.

5 WE HAVE THREE PROGRAMS THAT ARE TARGETING
6 CANCER STEM CELLS AND ONE PROGRAM THAT IS TARGETING
7 ENDOGENOUS STEM CELLS. SO IN THAT CASE THEY'RE THE
8 TARGETS AS OPPOSED TO THE ACTUAL THERAPEUTICS.

9 IF YOU ACTUALLY LOOK AT THE DISTRIBUTION
10 BY THE TYPE, BY WHETHER IT'S THE DISEASE TEAM RFA OR
11 THE EARLY TRANSLATIONAL, PERHAPS, NOT SURPRISINGLY,
12 THE DISEASE TEAM DISTRIBUTION REPRESENTS, AND I USE
13 THE TERM LOOSELY, MORE MATURE APPROACHES, BUT THAT'S
14 WHERE YOU SEE MORE OF THE ADULT STEM CELL
15 COMPONENTS. SO ADULT IS PRETTY MUCH EVERYTHING
16 THAT'S NOT PLURIPOTENT AND NOT CSC. SO THAT'S WHERE
17 THE BULK OF THOSE ARE.

18 WE HAVE MORE OF IPSC APPROACHES IN THE
19 EARLY TRANSLATIONAL. AGAIN, YOU KNOW, OUR GRANTS
20 REVIEW GROUP AND THE BOARD RECOGNIZED THE MATURITY
21 OF THESE APPROACHES. WE HAVE TO KEEP REMEMBERING
22 WE'RE WORKING AT THE CUTTING EDGE OF ESSENTIALLY
23 SCIENCE AND OF PROPOSED THERAPEUTIC STRATEGIES.

24 I HIGHLIGHT HERE THE DISEASE DISTRIBUTION
25 BOTH WITH THE NUMBER OF PROJECTS AND THE DOLLARS

BARRISTERS' REPORTING SERVICE

1 THAT WE ARE INVESTING INTO THESE. THE DISEASE
2 DISTRIBUTION, LET ME REMIND YOU, REPRESENTS THE SUM
3 OF WHAT WAS PROPOSED BY THE INVESTIGATORS, WHAT WAS
4 RECOMMENDED BY THE GRANTS REVIEW GROUP, AND WHAT WAS
5 APPROVED BY THIS BOARD. AND, AGAIN, IT REFLECTS
6 WHAT IS, IF YOU LIKE, MORE READY.

7 THINGS MAY INCLUDE A RANGE OF APPROACHES.
8 SO IN THE NEURAL DEGENERATIVE DISEASE, THAT'S
9 ACTUALLY FOUR DIFFERENT DISEASE TARGETS WITH
10 DIFFERENT APPROACHES. IN THE EYE DISEASE, THAT'S
11 ACTUALLY THE SAME DISEASE THAT'S BEING TARGETED IN
12 ALL CASES. IT'S AGE RELATED MACULAR DEGENERATION.
13 IT'S ACTUALLY THE SAME APPROACH AS WELL. IT IS A
14 RETINAL PIGMENTED EPITHELIAL CELL THERAPY THAT'S
15 BEING CONSIDERED, AND THEN THERE ARE SOME
16 DIFFERENCES AS TO WHETHER THE SOURCE OF CELLS OR
17 WHETHER IT'S A COMBINATION PRODUCT.

18 CANCER IS ACTUALLY LOOKING AT A COUPLE OF
19 DIFFERENT DISEASES, EITHER THE BLOOD BORNE, THE
20 HEMATOLOGIC MALIGNANCIES, OR THE SOLID TUMOR, AND
21 IT'S ALSO ACTUALLY A VARIETY OF STRATEGIES. THERE'S
22 SMALL MOLECULES TARGETING SURVIVAL AND SELF-RENEWAL
23 PATHWAYS. THERE'S A MONOCLONAL ANTIBODY TARGETING A
24 DON'T-EAT-ME ANTIGEN THAT'S BELIEVED TO BE
25 OVEREXPRESSED ON CERTAIN CANCER CELLS. AND THEN

BARRISTERS' REPORTING SERVICE

1 THERE'S A CELL TARGETING STRATEGY, AND THESE ARE TWO
2 OF THEM. AND IT USES NEURAL STEM CELLS TO ACHIEVE A
3 HIGH LOCAL CONCENTRATION OF A TUMOROCIDAL AGENT AT
4 THE SITE OF THE TUMOR, AND WE HAVE TWO OF THOSE
5 PROGRAMS.

6 FOR THE HIV DISEASE, WE HAVE -- IT'S THE
7 SAME VALIDATED TARGET, SO IT'S CCR5, AND I DO NOT
8 WANT TO -- WHEN I SAY VALIDATED IN THIS SENSE, I
9 MEAN THE ULTIMATE VALIDATION, CLINICAL VALIDATION.
10 THERE ARE ACTUALLY SMALL MOLECULE DRUGS OUT THERE
11 TARGETING CCR5. IT IS A SIMILAR APPROACH, HSC
12 GENETICALLY MODIFIED, BUT THERE ARE DEFINITELY
13 DIFFERENCES IN THE TACTICS.

14 THE NEXT ONE IS BLOOD DISEASE. THAT'S
15 ACTUALLY TWO DIFFERENT DISEASES. THAT'S THE SICKLE
16 CELL AND THE FANCONI ANEMIA. AGAIN, THOSE ARE
17 GENETICALLY MODIFIED HEMATOPOIETIC STEM CELLS, SO
18 IT'S A SIMILAR APPROACH, DIFFERENT DISEASE.

19 SO I JUST WANTED TO GIVE YOU A SENSE OF
20 THAT PORTFOLIO. I WOULD ALSO POINT OUT TO YOU THAT
21 THE TOTAL INVESTMENT IN THIS DEVELOPMENT PORTFOLIO
22 THAT THIS BOARD IS MAKING OR THAT WE ARE MAKING IS
23 \$264 MILLION. A BIOTECH COMPANY, A MIDSIZE BIOTECH
24 COMPANY, WOULD BE THRILLED WITH THAT KIND OF R&D
25 BUDGET. SO WE ARE INVESTING IN ESSENTIALLY CUTTING

BARRISTERS' REPORTING SERVICE

1 EDGE THERAPIES HOPEFULLY THAT WILL BENEFIT PATIENTS.

2 THIS IS JUST THE SAME THING FOR THE
3 DISEASE TEAM ONLY. I'LL LET YOU LOOK AT IT AT YOUR
4 LEISURE.

5 THE OTHER POINT I WANT TO MAKE IS ABOUT
6 ESSENTIALLY THE THERAPEUTIC APPROACH THAT IS
7 REPRESENTED BY THIS PORTFOLIO. AND I'VE COLORED IN
8 SHADES OF BLUE ALTHOUGH THIS ONE LOOKS A LITTLE BIT
9 MORE GRAY TO ME. THOSE THAT ESSENTIALLY ARE CELL
10 THERAPY BASED. WE HAVE SIX CELL THERAPY PRODUCTS.
11 WE HAVE EIGHT THAT ARE GENETICALLY MODIFIED CELL
12 THERAPY STRATEGIES, AND WE HAVE FOUR THAT I'LL CALL
13 COMBINATION CELL THERAPY PRODUCTS.

14 AND WHEN I MEAN A COMBINATION PRODUCT, I
15 MEAN -- SO, FOR EXAMPLE, VIACYTES (PHONETIC)
16 PROPOSED PRODUCT WHERE WE HAVE HESC-DERIVED
17 PANCREATIC PROGENITOR CELLS THAT ARE ENCAPSULATED IN
18 A NONRETRIEVABLE DEVICE. THE DEVICE IS AN INTEGRAL
19 PART OF THE PRODUCT AND, THEREFORE, YOU BRING IN TWO
20 REGULATORY AGENCIES TO DEAL WITH IT. YOU HAVE TO
21 DEAL WITH THE DEVICE COMPONENT AS WELL AS THE CELL
22 THERAPY COMPONENT.

23 ANOTHER EXAMPLE OF THAT WOULD BE THE
24 NEURAL STEM CELL TUMOR TARGETING THAT I MENTIONED IN
25 THE CONTEXT OF TREATING NEURAL BLASTOMA. THAT'S

BARRISTERS' REPORTING SERVICE

1 DESIGNED TO DELIVER A GENE THAT WOULD CONVERT A
2 PRODRUG SMALL MOLECULE, AN APPROVED SMALL MOLECULE,
3 TO A MORE ACTIVE DRUG AT THE SITE OF THE TUMOR.
4 THAT IS ALSO A COMBINATION STRATEGY.

5 SO YOU CAN SEE THAT WE ARE HEAVILY
6 INVESTED IN CELL THERAPEUTIC APPROACHES, NOT
7 SURPRISING BECAUSE IF YOU LOOK AT THE MANDATE OF
8 PROPOSITION 71, IT WAS, YOU KNOW, STEM CELL-DERIVED
9 CELL THERAPIES FOR THE BENEFIT OF PATIENTS. SO I
10 JUST WANT TO POINT THAT OUT.

11 WE ARE ALSO INVESTING IN WHAT I'LL CALL
12 FOUR OF THE MORE WELL UNDERSTOOD FROM A REGULATORY
13 STANDPOINT STRATEGIES. SO MONOCLONAL ANTIBODIES,
14 SMALL MOLECULES, AND ACTUALLY A DELIVERY COMBINATION
15 WITH A RECOMBINANT PROTEIN ARE STRATEGIES THAT ARE
16 WELL UNDERSTOOD BY THE REGULATORY AGENCY.

17 I'D JUST LIKE TO DISCUSS WITH YOU OR FOR
18 YOU TO THINK ABOUT A LITTLE BIT SEVERAL
19 CONSIDERATIONS WITH RESPECT TO THIS PORTFOLIO. SO
20 WHEN I TALK ABOUT THE STRATEGIC PORTFOLIO FOCUS,
21 AGAIN, I THINK, AS I'VE ALREADY CITED AND AS YOU'VE
22 SEEN, YOU HAVE AGREED WHEN WE LOOK AT -- WHEN WE DO
23 CONCEPT PROPOSALS FOR THINGS, WE HAVE HAD A FOCUS ON
24 PLURIPOTENT CELLS. YOU HAVE HEARD THAT ONE OF OUR
25 PRIMARY STRATEGIC GOALS IS PROOF OF CONCEPT FOR A

BARRISTERS' REPORTING SERVICE

1 PLURIPOTENT-DERIVED CELL THERAPY. AND AS I SAY,
2 THIS IS A VERY IMPORTANT THING. NO ONE ELSE WILL
3 INVEST IN THAT KIND OF STRATEGY AT THIS POINT. I
4 THINK WE ALL KNOW THAT THE VENTURE COMMUNITY IS IN A
5 RISK ADVERSE MODE, AND THIS IS NOT TYPICALLY THE
6 PLACE THAT THEY WOULD GO.

7 SO WE ARE FULFILLING A VOID, BUT I THINK
8 IT'S IMPORTANT FOR US ALL TO RECOGNIZE THAT WE ARE
9 INVESTING IN VERY CUTTING EDGE APPROACHES AND
10 STRATEGIES.

11 THE OTHER POINT I WANT TO MAKE ABOUT THAT
12 THOUGH ACTUALLY IS THE FIELD, THE SCIENCE, IS MOVING
13 EXTREMELY RAPIDLY IN THAT AREA. SO IPSC, FOR
14 EXAMPLE, FIVE YEARS AGO, WELL, MAYBE '96 WAS THE
15 FIRST PAPER, OKAY, MAYBE A LITTLE BIT BEFORE THAT,
16 NO ONE HAD EVER HEARD OF IT. NOW PEOPLE ARE WORKING
17 AT IT LIKE CRAZY. A LOT OF TALKS, DISEASE IN A
18 DISH. SCNT PRACTICALLY DROPPED OFF THE SCIENTIFIC
19 FIELD BECAUSE PEOPLE THOUGHT IPSC WAS EASIER. NOW
20 YOU'VE HEARD ALAN MENTION THE BUZZ NOW IS DIRECTED
21 TO DIFFERENTIATION. WELL, IF YOU'RE INTERESTED IN
22 CERTAIN STRATEGIES, IF YOU'RE INTERESTED IN THE
23 MECHANISM OF DISEASE, IF YOU CAN GO DIRECTLY TO A
24 DISEASE MOTOR NEURON, YOU MAYBE AREN'T GOING TO WANT
25 TO GO THROUGH AN IPSC INTERMEDIATE. SO I THINK YOU

BARRISTERS' REPORTING SERVICE

1 HAVE TO THINK ABOUT WHAT'S GOING TO BE COMPETITIVE
2 OVER THE LONGER TERM AND HOW FAST IS THE FIELD
3 MOVING.

4 SO THAT'S JUST ONE THING BECAUSE WE'D LIKE
5 TO ENSURE THAT THE PRODUCT CANDIDATES THAT WE FUND
6 WILL BE THE ONES THAT WILL BE COMPETITIVE WHEN THEY
7 GET TO PATIENTS. WE WANT TO MAKE SURE THAT THEY'RE
8 DOING THE BEST THING.

9 FOR THE SECOND BULLET POINT, REGULATORY
10 RISK, THIS IS HOW, ALL OTHER THINGS BEING EQUAL, A
11 REGULATORY AGENCY LOOKS AT THE WORLD. SO, AGAIN,
12 ALL OTHER THINGS BEING EQUAL. THE REGULATORS KNOW
13 VERY WELL HOW TO DEAL WITH SMALL MOLECULES,
14 MONOCLONAL ANTIBODIES, AND PROTEINS. ACTUALLY FOR
15 ADULT STEM CELLS, AGAIN DEPENDING ON THE SOURCE,
16 THEY'RE VERY COMFORTABLE WITH THINGS LIKE BONE
17 MARROW CELLS. BONE MARROW TRANSPLANT HAS A HIGH
18 SAFETY THING. HEMATOPOIETIC STEM CELLS, THEY'RE
19 SOMEWHAT COMFORTABLE WITH THAT. AGAIN, BECAUSE THAT
20 IS ESSENTIALLY -- IT'S A PROGENITOR FOR ALL THE
21 IMMUNE CELLS AND ALL THE BLOOD CELLS IN YOUR BODY.
22 SO I THINK YOU HAVE TO LOOK AT IT.

23 GENE-MODIFIED ADULT STEM CELLS, I WOULD
24 JUST POINT OUT TO THIS AUDIENCE SOMETHING THAT I
25 THINK THAT YOU ALL WELL KNOW. THERE ARE NO APPROVED

BARRISTERS' REPORTING SERVICE

1 GENE THERAPEUTICS IN THIS COUNTRY YET. TWENTY YEARS
2 AFTER, THERE'S STILL NO APPROVED GENE THERAPIES.
3 THERE'S A LOT IN CLINICAL DEVELOPMENT. WE HAVE
4 HOPES THAT SOME CAN BE APPROVED SOON. I BELIEVE ONE
5 OR TWO IS APPROVED IN EUROPE, BUT THERE'RE NONE YET
6 APPROVED IN THIS COUNTRY. AND, AGAIN, I THINK THAT
7 DEPENDS ON WHAT IS THE STEM CELL YOU'RE TALKING
8 ABOUT. THERE ARE DEGREES OF RISK WITHIN EACH OF
9 THESE CATEGORIES.

10 PLURIPOTENT STEM CELL-DERIVED CELLS,
11 AGAIN, THE FIELD IS YOUNG. WE KNOW OF, I THINK, TWO
12 THAT HAVE SUBMITTED IND'S. ONE OF THEM HAS BEEN ON
13 CLINICAL HOLD FOR, I THINK, SINCE MAY OF 2008. IT
14 WAS OFF FOR A FEW MONTHS, BUT IT IS NOT EASY TO MOVE
15 THINGS LIKE THIS FORWARD. THE REGULATORS ARE
16 BASICALLY ERRING ON THE SIDE OF CAUTION. ANYTHING
17 THAT WE CAN ENCOURAGE OUR TEAMS TO DO, ANYTHING THAT
18 WE CAN DO TO HELP REDUCE RISK IS REALLY IMPORTANT.

19 ACTUALLY I WOULD JUST GIVE YOU AN EXAMPLE
20 OF ONE OF OUR TEAMS. ACTUALLY, AGAIN, I'LL CITE
21 THIS NEURAL STEM CELL USED FOR TARGETING. THE CELL
22 LINE THERE IS A GENETICALLY MODIFIED NEURAL STEM
23 CELL LINE. AND THIS PERSON IN ANOTHER -- THE PI ON
24 THIS ONE IN ANOTHER CONTEXT WITH THE SAME CELL LINE,
25 ALTHOUGH SOMEWHAT DIFFERENTLY GENETICALLY MODIFIED,

BARRISTERS' REPORTING SERVICE

1 HAS ACTUALLY RECEIVED APPROVAL NOW TO INITIATE THE
2 TRIAL. THAT IS A HUGE RISK REDUCTION FOR USE OF
3 THAT CELL LINE IN OUR DISEASE TEAM PROGRAM. THERE'S
4 ALREADY A DRUG MASTER FILE. THE FDA HAS ALREADY
5 APPROVED IT. SO THAT'S JUST A MAJOR ELEMENT OF RISK
6 REDUCTION IN THAT SENSE.

7 AND THEN FINALLY, I'D SAY GENE-MODIFIED
8 PLURIPOTENT STEM CELL-DERIVED CELLS, AT THIS POINT,
9 AND I'VE CLASSIFIED MOST IPSC IN THIS BECAUSE
10 GENERALLY THEY'RE GENERATED BY RETROVIRAL
11 TRANSDUCTION WITH FOUR GENES, ONE OF WHICH IS A
12 KNOWN ONCOGENE, THE OTHERS OF WHICH HAVE ONCOGENIC
13 PROPERTIES, BUT THE FIELD IS MOVING. PEOPLE ARE
14 DEVELOPING EPISOMAL STRATEGIES FOR DELIVERY OF THESE
15 FACTORS SO THAT THEY DON'T PERSIST. PEOPLE ARE
16 DEVELOPING STRATEGIES TO USE RECOMBINANT PROTEINS OR
17 TO USE SMALL MOLECULES TO SUBSTITUTE. BUT I'M JUST
18 HIGHLIGHTING THAT IT IS IN GENERAL WITH THE
19 PLURIPOTENT STEM CELL AND WITH THE OTHER, IT IS A
20 FIELD IN TRANSITION.

21 THE BUSINESS MODEL TO BRING TO PATIENTS, I
22 THINK WE WOULD ALL AGREE THAT ULTIMATELY WHAT WE
23 WANT IS FOR THE THINGS THAT WE HAVE INVESTED IN TO
24 BENEFIT PATIENTS. THAT'S REALLY THE END GOAL FOR
25 US. AND I THINK YOU JUST HAVE TO CONSIDER DRUGS,

BARRISTERS' REPORTING SERVICE

1 SMALL MOLECULES, THESE ARE ONE SIZE FITS ALL,
2 THEY'RE OFF THE SHELF. ARGUABLY ALLOGENEIC CELL
3 THERAPY FITS THAT CATEGORY. YOU HAVE THE RISK OF
4 IMMUNOSUPPRESSION, AND SO THAT'S ONE THING, BUT IT'S
5 AN OFF-THE-SHELF TYPE THING. AT THE OTHER EXTREME
6 IS WHAT I'LL CALL THE BONE MARROW TRANSPLANT
7 SITUATION. THIS IS ESSENTIALLY A HOSPITAL-BASED
8 THING WHERE ESSENTIALLY THE BUSINESS COULD BE IN THE
9 SERVICE PROVIDING, AND THEN THERE'S A HYBRID-TYPE
10 THING.

11 ACTUALLY MANY OF YOU MAY KNOW THAT
12 DENDREON'S PROVENGE WAS RECENTLY APPROVED IN APRIL
13 OF THIS YEAR. PROVENGE IS ESSENTIALLY AN AUTOLOGOUS
14 CELL-DERIVED THERAPY THAT'S GENETICALLY MODIFIED TO
15 STIMULATE THOSE PARTICULAR CELLS. AND THAT HAS JUST
16 BEEN RECENTLY INTRODUCED. SO ASKING THE TEAMS TO
17 THINK ABOUT AND US TO THINK ABOUT HOW THESE WILL
18 ULTIMATELY BENEFIT PATIENTS, I THINK, IS REALLY
19 IMPORTANT.

20 IF YOU LOOK AT THE NEXT SLIDE, THIS IS
21 JUST PUTTING ALL OF OUR PORTFOLIO, THE NEXT TWO
22 SLIDES ACTUALLY PUT ALL OF OUR PORTFOLIO IN THE
23 CATEGORY. IF YOU LOOK OVER WHERE I HAVE "OR" IS
24 WHERE THE TEAMS ARE TESTING MULTIPLE DIFFERENT CELL
25 TYPES, AND THEY WILL HAVE TO LOOK AT THESE TO GO

BARRISTERS' REPORTING SERVICE

1 FORWARD. AND AS I'VE INDICATED, WE ARE WORKING AT
2 THE CUTTING EDGE OF ESSENTIALLY THERAPY DEVELOPMENT,
3 WHICH IS AN IMPORTANT PLACE TO BE BECAUSE WE'RE THE
4 ONES WHO WILL HELP TO DRIVE THIS FORWARD.

5 SO, AGAIN, I PUT THINGS IN THE IPSC THAT
6 WERE IPSC. UNLESS THEY SPECIFIED THEY WERE USING AN
7 EPISOMAL STRATEGY, YOU CAN'T ASSUME THAT THEY
8 AREN'T. SO THEY'RE IN THE GENE-THERAPY DERIVED.

9 SO FINALLY, I WOULD JUST LIKE TO SAY --
10 I'M SORRY. WHEN I SAY I'VE ALSO INDICATED WHETHER
11 IT'S AN ALLOGENEIC OR AN AUTOLOGOUS STRATEGY, AND
12 OTHER REFERS TO A SMALL MOLECULE, A MONOCLONAL
13 ANTIBODY, OR A PROTEIN. IT'S JUST NOT A CELL
14 THERAPY APPROACH.

15 SO IF YOU LOOK AT THIS, AND THIS IS
16 BASICALLY THE RFA'S WE'RE TALKING ABOUT THAT, IN
17 FACT, GET OUR DEVELOPMENT PIPELINE AND I THINK IS,
18 YOU KNOW, WHAT I'D LIKE TO LEAVE THE BOARD WITH IS
19 I'D JUST LIKE YOU TO THINK OVER TIME HOW ARE WE
20 GOING TO MEET OUR MISSION? IT'S IMPORTANT TO MEET
21 OUR MISSION AND TO MEET OUR GOALS. HOW CAN WE DO
22 THIS? AND I WOULD JUST -- I THINK WE NEED TO
23 CONTINUE TO INVEST IN A DIVERSE PORTFOLIO OF
24 PROJECTS THAT REFLECT EXCELLENT SCIENCE OF
25 SUFFICIENT MATURITY TO GET COMPETITIVE PRODUCT

BARRISTERS' REPORTING SERVICE

1 CANDIDATES FOR ESSENTIALLY THE BENEFIT OF PATIENTS
2 IN THE FUTURE. SO I'LL THANK YOU AND TAKE ANY
3 QUESTIONS THAT YOU MAY HAVE.

4 CHAIRMAN KLEIN: ANY QUESTIONS FROM THE
5 BOARD? I THINK THAT WAS AN OUTSTANDING REPORT. I
6 WOULD ASK YOU -- DR. HAWGOOD, WHY DON'T YOU PROCEED
7 FIRST.

8 DR. HAWGOOD: PAT, I THINK THAT WAS A
9 WONDERFUL REVIEW OF WHERE WE STAND AND VERY
10 THOUGHTFUL IN TERMS OF THINKING FORWARD. WHILE I'M
11 INCREDIBLY EXCITED ABOUT THE MOVEMENT TO THE CLINIC,
12 I'VE BEEN AN ADVOCATE TO MAKE SURE WE DON'T LEAVE
13 BASIC SCIENCE BEHIND. I WAS JUST WONDERING DO WE
14 HAVE ANY DATA IN A SENSE OF WHERE THE NIH IS PICKING
15 UP THAT SLACK? I ASSUME --

16 DR. OLSON: SLACK IN WHAT, PLEASE?

17 DR. HAWGOOD: IN THE ENTIRE PORTFOLIO. IN
18 OTHER WORDS, ARE WE IN A POSITION WHERE WE CAN
19 ASSUME THAT THE NIH IS TAKING A BIGGER AND BIGGER
20 ROLE IN THE LEFT-HAND SIDE OF YOUR PIPELINE?

21 DR. OLSON: WELL, WHAT I HAVEN'T PUT HERE
22 AND WHAT I THINK IS IMPORTANT TO SAY IS I WAS
23 FOCUSED ON THE DEVELOPMENT. I WANT TO REMIND, I
24 DON'T THINK I NEED TO REMIND THIS AUDIENCE, AND I'LL
25 GET TO YOUR QUESTION IN A MOMENT, BUT BASIC SCIENCE

BARRISTERS' REPORTING SERVICE

1 REALLY IS THE UNDERPINNING OF ALL THIS. YOU HEARD
2 THE PRESENTATION THIS MORNING. WITHOUT
3 UNDERSTANDING ESSENTIALLY WHAT WE BELIEVE THE
4 DISEASE MECHANISM IS IN ALS, WE WOULDN'T HAVE THIS
5 STRATEGY TO GO FORWARD.

6 NOW, SPECIFICALLY TO YOUR QUESTION, WHAT
7 IS NIH PICKING UP?

8 DR. HAWGOOD: I ASSUME THAT OUR
9 INVESTIGATORS FILL OUT AN OTHER SUPPORT PAGE.

10 DR. OLSON: OF COURSE, THEY DO.

11 DR. HAWGOOD: SO THERE WOULD BE A WAY TO
12 GET A WINDOW INTO WHAT ARE THEY SUBMITTING TO THE
13 NIH.

14 DR. OLSON: ONE UNIQUE THING ABOUT WHAT WE
15 FUND, AND I THINK MAYBE THIS IS, IS THAT, SAY, OUR
16 FUNDING FOR SOMETHING LIKE DISEASE TEAMS, WE TEND TO
17 COVER ALL THE ACTIVITIES NECESSARY TO GET TO A GOAL
18 IN THE DEVELOPMENT SPACE; WHEREAS, I KNOW NIH HAS A
19 PAC PROGRAM, WHICH WILL MAKE, THAT IF YOU ARE
20 SUCCESSFUL IN APPLYING, YOU WILL GET YOUR CELLS MADE
21 FOR YOU TO DO A TRIAL, BUT THAT DOESN'T FUND THE
22 TRIAL. THAT FUNDS THE PRODUCTION OF THE CELLS.

23 SO OUR DISEASE TEAM MODEL IS UNIQUE IN
24 THAT IT FUNDS THE ACTIVITIES IT TAKES TO GET THERE.
25 NIH ACTUALLY HAS A HUGE INVESTMENT IN STEM CELL

BARRISTERS' REPORTING SERVICE

1 RESEARCH AT THE BASIC LEVEL OR THINGS THEY CLASSIFY
2 AS SUCH, BUT THEY INCLUDE IN THAT ZEBRA FISH, C.
3 ELEGANS, EVERY SINGLE MODEL SYSTEM, SO IT'S
4 DIFFICULT TO PARSE OUT. THEY INCLUDE IN THERE
5 CLINICAL TRIALS FOR STEM CELLS. THEY INCLUDE ALL
6 THE CORD BLOOD STUDIES. I ACTUALLY WENT ONLINE
7 YESTERDAY, AND THERE ARE OVER 200 CORD BLOOD STUDIES
8 THAT ARE ACTIVELY ENROLLING PATIENTS RIGHT NOW. SO
9 THEY TEND TO FUND A CLINICAL TRIAL PERHAPS WITH SOME
10 BEDSIDE-TO-BENCH-TYPE WORK.

11 I THINK ONE OF THE UNIQUE FEATURES OF OUR
12 PROGRAM IS WE TEND TO FUND ALL THE ACTIVITIES IT
13 TAKES TO DO IN A PARTICULAR STAGE OF RESEARCH. SO I
14 CAN'T ANSWER EXACTLY, BUT THAT IS A DIFFERENCE.

15 DR. TROUNSON: SAM, WE COULD PROBABLY TRY
16 AND GET SOME QUANTITATIVE DATA. IT'S PROBABLY A
17 LITTLE BIT EARLY BECAUSE THERE WAS THE STIMULUS, BUT
18 THEN IT SORT OF SLIPPED BACK, AS I UNDERSTAND, SO
19 THAT THERE WASN'T A LOT OF MONEY REALLY FOR BASIC
20 STEM CELL RESEARCH AFTER THE STIMULUS. BUT I THINK
21 WE PROBABLY NEED A LITTLE MORE TIME, BUT I THINK
22 YOUR POINT IS A GOOD ONE. CAN WE ANALYZE WHETHER
23 WE'RE PICKING UP MORE THAN OR AS MUCH AS WE SHOULD
24 BE GETTING GIVEN THAT WE'RE ACTUALLY STIMULATING
25 OURSELVES THE BASIC SCIENCE. I THINK IT'S A VERY

BARRISTERS' REPORTING SERVICE

1 IMPORTANT POINT.

2 AND THE OTHER IMPORTANT POINT IS THAT WHEN
3 WE END, THERE WILL BE A TERRIBLE CLIFF IF WE DON'T
4 ENCOURAGE THE RESEARCHERS TO ALSO SEEK OTHER
5 FUNDING. THEY WILL FALL OFF AN ENORMOUS CLIFF, AND
6 WE DON'T REALLY WANT THAT TO HAPPEN. I'M JUST
7 ASSUMING IN THE WORST-CASE SCENARIO IF WE WEREN'T
8 REFUNDED. IT'S UP TO US TO TRY AND MAKE SURE THAT
9 WE ENCOURAGE THEM TO DO THAT.

10 DR. HAWGOOD: I THINK THAT WAS THE KIND OF
11 POINT GIVEN THAT WE'VE GOT ROUGHLY 1 PERCENT THE NIH
12 BUDGET, AND IT'S EXACTLY WHAT YOU WERE DRIVING AT.
13 WHERE IS OUR NICHE? AND WHAT SHOULD WE BE
14 ENCOURAGING TO HAND OFF SO THAT WHEN WE END, THERE'S
15 NOT A BIG CLIFF?

16 CHAIRMAN KLEIN: SO, DR. OLSON, PERHAPS WE
17 COULD CREATE A PROCESS FOR REALLY ANALYZING THROUGH
18 THIRD-PARTY EXPERTS, MULTIPLE THIRD-PARTY EXPERTS WE
19 MIGHT RETAIN, I DON'T WANT TO DESIGN THE PROCESS,
20 JUST RAISE THE NEED FOR A PROCESS TO IDENTIFY WHAT
21 THE COST WOULD BE, SHOULD BE, BEST CASE, WORST CASE
22 FOR GOING THROUGH THE PHASE I AND PHASE II A AND II
23 B CLINICAL TRIALS OF DIFFERENT TYPES BECAUSE AMD MAY
24 BE A DIFFERENT COST THAN OTHER TYPES OF CLINICAL
25 TRIALS. THE PHARMA MEMBERS I FIND DIFFICULT TO RELY

BARRISTERS' REPORTING SERVICE

1 ON BECAUSE I BELIEVE THEY OVERSTATE IN SOME MAJOR
2 WAY SOME OF THE COSTS WE MIGHT FACE.

3 THERE'S PUBLICATIONS THAT INDICATE A VERY
4 HIGH PERCENTAGE, 40 TO 80 PERCENT, OF FAILED PHASE
5 I'S ARE BASED UPON TOXICITY TRIALS THAT WERE NOT
6 PREDICTIVE BECAUSE THEY WERE RELYING ON MOUSE TRIALS
7 WITHOUT HUMAN CHIMERAS THAT WE CAN NOW PRODUCE
8 POTENTIALLY. THERE'S A GREAT DEAL OF CONTRADICTIONARY
9 INFORMATION. AND WHAT I'M SUGGESTING IS MAYBE IN A
10 VERY FORMAL WAY WE COULD FIGURE OUT A PROCESS WHERE
11 WE COULD REACH OUT TO A GROUP OF EXPERTS, HAVE THEM
12 ANALYZE THE DIFFERENT AREAS OF TRIALS BECAUSE RIGHT
13 NOW WE'RE DEALING WITH INFORMATION THAT IS BASED OFF
14 OF DIFFERENT MODELS THAT MAY NOT BE COMPLETELY
15 PREDICTIVE OF OUR COSTS.

16 DR. OLSON: BOB, THERE'S A LOT OF ACTUALLY
17 INFORMATION OUT THERE ON THE COST OF DIFFERENT
18 CLINICAL TRIALS. ACTUALLY WE HAD A DISCUSSION -- I
19 MEAN I THINK CATRIONA JAMIESON EVEN MENTIONED
20 YESTERDAY THAT SHE THOUGHT THAT SOME OF THE STEM
21 CELL-BASED TRIALS, INSTEAD OF \$20,000 A PATIENT,
22 WOULD BE \$60,000 A PATIENT BECAUSE OF THE MONITORING
23 REQUIREMENTS. I THINK A LOT OF THE COST
24 DIFFERENTIAL IS GOING TO BE IN AREAS THAT ARE
25 PERHAPS NOT WELL UNDERSTOOD EXCEPT BECAUSE WE'RE

BARRISTERS' REPORTING SERVICE

1 ESSENTIALLY PUSHING IT NOW, IT'S GOING TO BE THE
2 PRODUCTION COST FOR THE CELLS. AND THEN, AS I SAY,
3 DEPENDING ON THE TYPE OF TRIAL, THE INCREASED
4 MONITORING COSTS. BUT THERE'S ACTUALLY A LOT OF
5 INFORMATION OUT THERE ON THE COST OF HOW MUCH DOES
6 IT COST TO RUN -- HOW MUCH DOES IT COST PER PATIENT
7 IN A HEART DISEASE TRIAL, THAT KIND OF INFORMATION
8 IS AVAILABLE.

9 SO I THINK YOU GET GOOD TEAMS, BUT I THINK
10 THE POINT IS IT MAY BE DIFFICULT TO KNOW THE
11 SPECIFICS, BUT I THINK YOU CAN SAY THAT -- AND WE
12 DON'T KNOW THE KIND OF RATES. WE DON'T EXPECT
13 UNFORTUNATELY ALL OF OUR DISEASE TEAMS TO MAKE IT
14 THROUGH TO AN IND. IT WOULD BE GREAT IF THEY DID,
15 BUT REALISTICALLY, AND IT'S THOSE THAT ARE
16 SUCCESSFUL, THOSE THAT THE SCIENCE ENDS UP BEARING
17 UP UNDER WHATEVER THEY DO THAT WE CAN MOVE FORWARD.
18 AND THEN WE JUST SEE HOW THEY GO. I'M JUST SAYING
19 THAT IT WILL -- YOU HAVE TO PLAN ON COSTING MONEY,
20 AND YOU HAVE TO PLAN, AND THAT'S WHAT WE'VE ALWAYS
21 INTENDED, AND YOU HAVE TO JUST PICK YOUR PROJECTS,
22 PICK THEM WISELY, BE ABLE TO FUND THOSE THAT ARE
23 SUCCESSFUL, AND MOVE FORWARD. I THINK THAT'S --

24 DR. TROUNSON: BOB, THERE'S ALSO
25 EXPERIENCE THERE WITH THE ADULT STEM CELL WORK, AND

BARRISTERS' REPORTING SERVICE

1 THERE'S PRETTY HIGH FAILURE RATE THERE AS WELL. YOU
2 COULD ARGUE IF WE'RE MUCH BETTER AT KNOWING THE
3 MECHANISM AND WE'VE GOT A BETTER CELL TYPE THAT WE
4 MAY DO BETTER; BUT CURRENTLY IF YOU ANALYZE IT,
5 THEY'RE STILL NOT DOING VERY WELL WITH ANY OF THOSE
6 KIND OF CELL THERAPIES AT THE MOMENT. SO THERE'S A
7 LOT OF QUESTIONS STILL OUT THERE. HOW LONG WILL
8 THEY REQUIRE MONITORING OF THESE PATIENTS? THERE'S
9 A LOT OF UNANSWERED QUESTIONS BECAUSE THERE ARE
10 BASICALLY NO PLURIPOTENTIAL STEM CELLS AND FEW OF,
11 SAY, THE NEURAL STEM CELL WORK WHERE THE CELL GOES
12 IN AND REGENERATES AVAILABLE YET. BUT WE SHOULD TRY
13 AND DO THAT, BUT IT'S A VERY UNIQUE SPACE THAT WE'RE
14 GOING TO BE OCCUPYING.

15 CHAIRMAN KLEIN: I THINK WE'RE VERY
16 FOCUSED THROUGH PHASE II A, II B, NOT THROUGH PHASE
17 III, WHICH IS A HUGE DIFFERENCE.

18 JEFF SHEEHY, YOU HAD A QUESTION?

19 MR. SHEEHY: I JUST WONDER, AGAIN, THIS IS
20 KIND OF TANGENTIAL TO DR. HAWGOOD'S QUESTION. THIS
21 SPACE ON THE RIGHT SIDE REALLY IS NIH SPACE IN A LOT
22 OF WAYS, THE PHASE I, PHASE II CLINICAL TRIAL. WHAT
23 KIND OF DYNAMIC RELATIONSHIPS ARE WE CREATING WITH
24 NIH? AND ALSO, THIS GOES TO SOMETHING DUANE ROTH
25 HAS SPOKEN ABOUT WITH ADVOCACY GROUPS. WE'VE

BARRISTERS' REPORTING SERVICE

1 ALREADY HAD CONVERSATIONS ABOUT THE HIV PROJECTS,
2 PRESUMING THEY DO GET TO IND, WITH THE HEAD OF THE
3 DIVISION OF AIDS. LARRY KRAMER HAS CALLED TONY
4 FAUCI.

5 WE'VE BEEN TRYING TO START -- THERE'S A
6 DUAL THING. ONE IS TO GET THE ADVOCACY GROUPS
7 TALKING TO THE DIFFERENT INSTITUTES OF HEALTH THAT
8 ARE FUNDING THE PARTICULAR PIECES OF SCIENCE. WE
9 GIVE THEM SOMETHING WITH AN IND, THEN YOU'RE ALSO
10 GOING TO NEED PATIENTS. YOU'RE ALSO GOING TO NEED,
11 AS DUANE HAS SPOKEN ABOUT SO ELOQUENTLY, YOU'RE
12 GOING TO NEED THE PATIENTS BOTH TO RELIEVE THE
13 ANXIETY OF THE REGULATOR WHEN YOU ACTUALLY START TO
14 PUT HUMAN BEINGS INTO THESE TRIALS AND ALSO TO BE
15 THERE TO ALLEVIATE WHATEVER PUBLIC DISTRESS COMES
16 WITH THE INEVITABLE FAILURES, WHICH ARE MORE LIKELY
17 TO HAPPEN THAN NOT.

18 HOW CAN WE PLAY A ROLE OR ARE WE THINKING
19 STRATEGICALLY ABOUT FACILITATING BECAUSE WE'RE A
20 UNIQUE ENTITY IN THAT WE ACTUALLY HAVE THE ACADEMIC
21 SIDE, THE BUSINESS SIDE, AND THE ADVOCACY SIDE ALL
22 MERGED TOGETHER. HAVE WE THOUGHT ABOUT ENERGIZING
23 THAT WITHIN THE CONTEXT OF OUR DISEASE TEAMS AS THEY
24 START TO HIT IND BECAUSE I KNOW A LOT OF OTHER
25 ADVOCACY GROUPS ARE FOLLOWING THESE PROJECTS AS

BARRISTERS' REPORTING SERVICE

1 CLOSELY AS WE ARE IN THE HIV FIELD.

2 DR. TROUNSON: JEFF, I THINK THERE'S AN
3 IMPORTANT, THERE'S A VERY IMPORTANT ROLE, AS YOU
4 SAY. NIH AND FDA HAVE GOT TOGETHER, AND THEY
5 ACTUALLY NOW HAVE A GROUP THAT'S FOCUSED ON THIS.
6 AND THIS IS WHERE I THINK WE AND THE PATIENT
7 ADVOCATES REALLY OUGHT TO BECOME INVOLVED. IT'S A
8 LITTLE DIFFICULT TO WEIGH INTO THAT, BUT IT'S
9 SOMETHING THAT I THINK IS REALLY RIGHT ACROSS THE
10 TOP WHERE THEY'VE AGREED TO LOOK AT THESE AREAS AND
11 TRY AND MAKE IT A BETTER SYSTEM.

12 I THINK INPUT OF THE PATIENT ADVOCATES UP
13 AT THAT LEVEL AND OURSELVES IS REALLY IMPORTANT.
14 WE'RE TRYING TO MAKE OUR WAY IN OURSELVES, AND I'M
15 ENCOURAGING, REALLY WOULD LIKE TO ENCOURAGE PATIENT
16 ADVOCATES TO JOIN THAT ALLIANCE AS WELL.

17 MR. SHEEHY: IS THERE SOME WAY TO
18 OPERATIONALIZE THIS A LITTLE BIT? THE RESOURCES ARE
19 REALLY EITHER HERE AT CIRM OR WITHIN THE ACADEMIC
20 INSTITUTIONS. DEPENDING ON THE PARTICULAR ADVOCACY
21 GROUP, THE LEVEL OF RESOURCES IS MUCH LOWER. AND SO
22 THE COORDINATION, MAKING THESE KINDS OF
23 RELATIONSHIPS OPERATIONAL SHOULD COME OR WOULD BE
24 MORE EASILY FACILITATED BY THOSE WHO HAVE MUCH
25 GREATER RESOURCES.

BARRISTERS' REPORTING SERVICE

1 MR. ROTH: SO I HAVE BEEN WORKING ON A
2 PUBLICATION ON THIS VERY ISSUE BASED ON MY
3 EXPERIENCE HERE ON THIS BOARD TO SUGGEST A TOTALLY
4 NEW APPROACH TO HOW WE EVALUATE RISK AND BENEFIT
5 WITHIN THE CONFINES OF THE FOOD AND DRUG
6 ADMINISTRATION. AND WHEN THAT GOES FORWARD, I THINK
7 WE SHOULD -- IT'S REALLY BASED ON THIS EXPERIENCE.
8 THIS IS THE PILOT THAT ENABLED MY THOUGHTS TO
9 EVOLVE. YOU HEARD IT YESTERDAY FROM DR. LEVEY ABOUT
10 HIS APPREHENSION ABOUT PATIENT ADVOCATES ON THE
11 BOARD. I CAME WITH THE SAME BIAS. AND I HAVE DONE,
12 EXACTLY AS HE SAID, 180 TO UNDERSTAND THAT THEY'RE
13 PROBABLY THE ONLY GROUP THAT CAN REALLY EVALUATE
14 RISK AND BENEFIT.

15 IF I'M A REGULATOR, MY GOODNESS, I SEE
16 RISK, AND I WILL CONTINUE TO SEE RISK. I CANNOT
17 CHANGE. IF I'M A SPONSOR, I JUST WANT TO MAKE
18 PEOPLE WELL, AND I WANT TO MOVE FORWARD. BUT THERE
19 WE SIT, AND WE WRITE RULES, WE WRITE REGULATIONS,
20 AND IT DOES ABSOLUTELY NO GOOD. IT JUST MAKES IT
21 LONGER AND MORE EXPENSIVE.

22 IF THE PATIENT, AND I CALL THEM MEDIATORS,
23 WERE SITTING IN THE ROOM OFFICIALLY WITH A TEAM,
24 STILL THE FDA'S FINAL CALL, THEY CAN SAY, "BOB, WE
25 WANT TO GO FORWARD. WE UNDERSTAND THE RISK, AND WE

BARRISTERS' REPORTING SERVICE

1 ARE GOING TO TELL EVERYBODY THAT TAKES THIS PRODUCT
2 ABOUT THAT RISK, BUT LET'S NOT HOLD IT UP BECAUSE
3 MAYBE WHAT IF IS THERE. AND IF IT DOESN'T WORK AND
4 SOMETHING BAD HAPPENS, WE'VE GOT YOUR BACK. WE'RE
5 GOING TO STAND UP AND SAY YOU TOLD US." AND TO THE
6 COMPANY THEY SAY, "DON'T BE AFRAID TO TELL US
7 EVERYTHING YOU KNOW BECAUSE WE KNOW THAT IF YOU TELL
8 US TODAY, WE'RE PROBABLY GOING TO REACT TO IT." AS
9 A REGULATOR, I WOULD. BUT IF YOU'RE TELLING ME
10 YOU'LL BE REASONABLE ABOUT ME GIVING YOU A HEADS-UP,
11 AND I KNOW SOMEBODY ELSE IS IN THE ROOM TO HELP GET
12 US TOGETHER ON THIS, WHY WOULDN'T I DISCLOSE
13 EVERYTHING RIGHT UP FRONT? IF WE CAN DO THIS, IT
14 CHANGES EVERYTHING YOU JUST HEARD FROM PAT.

15 PAT, LIKE ALL OF US, WOULD DO EVERYTHING
16 IN THE CONFINES OF THE REGULATOR. THAT'S HOW WE
17 THINK. THAT'S WHAT WE'VE ACCEPTED. AND THAT'S HOW
18 WE'RE GOING FORWARD. THIS PROCESS COULD
19 DRAMATICALLY CHANGE THAT SO THAT WE'RE ALL TOGETHER
20 DOING WHAT'S BEST AND RIGHT. AND THIS GROUP IS THE
21 GROUP THAT REALLY SHOULD BE PURSUING THAT AND
22 GETTING OTHER PEOPLE THEN TO JOIN IN.

23 AND I AM CONVINCED THAT IF WE CAN PUT THIS
24 FORWARD, THAT WE CAN GET THIS LEGISLATED AS PART OF
25 AN OFFICIAL PROCESS JUST ON INNOVATIVE PRODUCTS,

BARRISTERS' REPORTING SERVICE

1 ONLY ON BRAND NEW, AND THERE'S A FULL-TIME, NOT ONE
2 ADVOCATE, BUT A TEAM OF ADVOCATES WHO ARE
3 PROFESSIONALS. THEY HAVE TO BE CERTIFIED IN SOME
4 WAY TO SIT IN THAT ROOM, BUT THEY'RE THERE AS
5 STATISTICIANS. PEOPLE SAY, WELL, SICK PEOPLE ARE
6 LAWYERS, DOCTORS, STATISTICIANS, CLINICAL TRIAL
7 EXPERTS. IT ISN'T UNIQUE TO PATIENT ADVOCATES NOT
8 TO BE INVOLVED DIRECTLY IN THE PROCESS. ANYWAY,
9 THAT'S WHERE WE STAND ON IT.

10 DR. OLSON: IT WOULD BE GREAT IF WE COULD
11 GET THROUGH, BUT THAT'S A PARADIGM SHIFT.

12 MR. ROTH: WE NEED TO DO SOMETHING.

13 CHAIRMAN KLEIN: IN THIS SPECTRUM OF
14 ASSETS, AS I TOLD DR. TROUNSON IN THE LAST COUPLE OF
15 MONTHS, ONE OF THE LEADERSHIP INDIVIDUALS IN THE
16 VETERANS ADMINISTRATION IN CHARGE OF THEIR RESEARCH
17 HOSPITALS HAS SUGGESTED THEY'D LIKE TO COLLABORATE
18 WITH US ON CLINICAL TRIALS. NOW, IT'S ONLY SO MANY
19 THINGS WE CAN DO AT ONCE, AND WE NEEDED TO GET
20 THROUGH ISSCR AND SOME OTHERS BEFORE THERE'S THE
21 TIME TO FOLLOW ON THAT. BUT THEY POINTED OUT VERY
22 CAREFULLY THAT THEY HAD TWO MAJOR RESEARCH HOSPITALS
23 IN CALIFORNIA. THEY'D LIKE TO POSITION SOME STEM
24 CELL RESEARCH ACTIVITIES IN THOSE HOSPITALS AND
25 FOCUS POTENTIALLY ON THEIR FUNDING OF CLINICAL

BARRISTERS' REPORTING SERVICE

1 TRIALS RELATED TO OUR WORK. IT'S ANOTHER ASSET TO
2 BRING TO THE TABLE.

3 AND DR. GALLIN, WHO'S THE HEAD OF THE NIH
4 CLINICAL TRIAL HOSPITAL ON THE NIH CAMPUS, I SPENT
5 THREE OR FOUR HOURS WITH HIM LAST TIME I WAS IN
6 WASHINGTON, D.C. HE'D LIKE TO HAVE A COLLABORATION
7 WITH US IN WORKING ON OUR DEVELOPMENT PORTFOLIO AND
8 WITH A GOAL TO BE PART OF A PHASE I TRIAL GROUP.

9 AGAIN, THESE ALL TAKE TIME. THEY TAKE
10 STAFF. WE HAVE TO WORK AT IT INCREMENTALLY, BUT I
11 THINK THAT THERE'S GOING TO BE SOME REAL VALUABLE
12 COLLABORATIVE ASSETS OUT THERE WITH THEIR OWN FUNDS
13 AND WITH PRESTIGIOUS LEADERSHIP POSITIONS THAT WILL
14 ATTRACT SUPPORT FOR US AND HELP US. THE VETERANS
15 ADMINISTRATION CAN BE A GREAT SUPPORT WITH THE FDA.
16 I THINK WE HAVE A MISSION PORTFOLIO THAT IS
17 EXTREMELY PROMISING, AND WE WILL ATTRACT RESOURCES
18 AS WE GO FORWARD TO SUPPORT THIS MISSION.

19 DR. TROUNSON: I'D JUST SAY, BOB, THAT
20 WE'VE STILL GOT TO GET THROUGH THE IND PROCESS EVEN
21 THERE. SO COMPLETELY SUPPORT WHAT DUANE IS SAYING.
22 AND ONE ELEMENT THAT WE NEED TO GET IN THEIR MINDS
23 IS ONE OF THE RISKS IS NOT BEING ABLE TO TREAT OR
24 CURE PATIENTS BY BEING DELAYED. THAT IS A RISK THAT
25 NEEDS TO BE PUT INTO THE FORMULA.

BARRISTERS' REPORTING SERVICE

1 AND MY READING, THEY DO SAY THERE ARE
2 PATIENT ADVOCATES INVOLVED WITH THE FDA, BUT THEY'RE
3 NOT LOOKING AT THIS AREA I CAN TELL YOU. SO VERY
4 COMPLEX AND RISK ADVERSE AND MORE ADVERSE AS YOU GO
5 UP THE TREE UNFORTUNATELY. SO MEMBERSHIP OF THE FDA
6 IS VERY ENCOURAGING FOR US TO GET LINKED AND SO IS
7 THE NIH, BUT THERE'S A BIT OF A RESISTANCE THERE.
8 AND WE NEED TO SPEND TIME ON DOING IT, AND THAT'S
9 WHAT I HOPE OUR NEW VP R&D WILL BE DOING.

10 CHAIRMAN KLEIN: I'M COMPLETELY SUPPORTIVE
11 OF DUANE'S FOCUS AND THE FOCUS ON PATIENT ADVOCATES.
12 AS YOU KNOW FROM A NUMBER OF DISCUSSIONS, BEING
13 INVOLVED EARLY WITH A VERY BROADBASED COLLABORATIVE,
14 AS DUANE DESCRIBES IT, VALIDATION AND SHIELD FOR THE
15 FDA SO THEY FEEL THE FREEDOM TO MAKE THE DECISIONS
16 THAT ABSOLUTELY HAVE RISK, BUT WITH INFORMED
17 PATIENTS TO BE THERE IF SOMETHING GOES WRONG TO TELL
18 THE PUBLIC THESE RISKS ARE ESSENTIAL AS THEY WERE IN
19 HIV. THERE WILL BE TRAGEDIES ALONG THE WAY, BUT IT
20 IS ESSENTIAL TO SAVE MILLIONS OF LIVES. I THINK
21 IT'S A POWERFUL MESSAGE THAT'S CRITICAL.

22 SO WE ARE AT THE POINT OF THE BUDGET.

23 MR. TORRES: WE'RE FINALLY READY FOR POOR
24 MR. ROBSON.

25 CHAIRMAN KLEIN: DR. ROBSON, YOU'RE

BARRISTERS' REPORTING SERVICE

1 FOLLOWING SOME VERY EXCITING INFORMATION.

2 DR. ROBSON: I HOPE I GET TO THE OTHER
3 PART TOO TODAY BECAUSE I THINK THAT WOULD HAVE FIT
4 NICELY WITH THIS, BUT I UNDERSTAND THE PRACTICALITY.

5 CHAIRMAN KLEIN: WHILE THE LOGISTICS ARE
6 BEING DEBATED, AGAIN, DR. OLSON, THANK YOU FOR THE
7 WONDERFUL PRESENTATION.

8 DR. ROBSON: THANK YOU VERY MUCH. SO I'M
9 HERE TO PRESENT THE PROPOSED BUDGET FOR FISCAL YEAR
10 2010-11 AND SEEKING YOUR APPROVAL OF THIS BUDGET.

11 NOW, BEFORE I GET INTO THE DETAILS OF THE
12 BUDGET, BECAUSE IN A REVIEW LIKE THIS, IT'S
13 APPROPRIATE TO LOOK AT THE DETAILS AND GET DOWN INTO
14 THE WEEDS, AS THEY SAY, BUT I'D LIKE TO FIRST PUT
15 OUR OPERATING BUDGET INTO A CONTEXT. SO I'VE GOT A
16 SLIDE HERE WHICH HAS A LOT OF NUMBERS ON IT, AND IT
17 SHOWS SOME OF OUR GROWTH AND INCREASE IN OUR
18 RESPONSIBILITY SINCE OUR FIRST YEAR THAT WE DID SOME
19 FUNDING IN 2005-6 UP THROUGH THIS CURRENT FISCAL
20 YEAR.

21 I SHOW THIS FOR TWO REASONS. FIRST IS IF
22 YOU LOOK AT THE FIRST TWO COLUMNS, ONE SHOWS GRANTS
23 PAYMENTS. THIS IS HOW MUCH WE'VE ACTUALLY PAID OUT,
24 AND THIS IS CUMULATIVE. SO IN 2005-6 WE PAID OUT A
25 LITTLE OVER \$12 MILLION. BY THIS POINT, BY THE END

BARRISTERS' REPORTING SERVICE

1 OF JUNE THIS YEAR, WE WILL HAVE PAID OUT A TOTAL OF
2 \$521 MILLION.

3 NOW, THE REASON I WANT TO EMPHASIZE, I
4 WANT TO DIFFERENTIATE BETWEEN EXPENDITURES AND
5 COMMITMENTS. AND THE REASON I WANT TO DO THAT IS
6 BECAUSE I OFTEN READ IN THE PRESS OR HEAR ON THE
7 RADIO AND THE MEDIA THAT CIRM HAS NOW SPENT OVER A
8 BILLION DOLLARS, AND WHAT PROGRAMS DOES IT HAVE,
9 WHAT PROGRESS HAS IT MADE? THAT'S OFTEN AN
10 INTRODUCTORY PHRASE, BUT THE FACT IS WE HAVE NOT
11 SPENT A BILLION DOLLARS. WE'VE COMMITTED A BILLION.
12 WE'VE ONLY SPENT ABOUT 520 MILLION. AND OF THAT
13 KEEP IN MIND THAT ALMOST HALF WENT TO FACILITIES,
14 AND THOSE FACILITIES ARE JUST NOW STARTING TO COME
15 ONLINE.

16 SO WHEN WE REPORT THAT, FOR EXAMPLE, WE
17 NOW HAVE 500 PAPERS PUBLISHED WITH CIRM SUPPORT,
18 IT'S NOT BASED ON A BILLION DOLLARS. IT'S NOT BASED
19 ON 500 MILLION. IT'S MORE LIKE \$270 MILLION.
20 THAT'S A LOT OF MONEY, BUT IT'S A LOT LESS THAN A
21 BILLION. SO I'M GOING TO IN MY REPORTS ON OUR
22 FINANCES REGULARLY JUST KIND OF KEEP YOU UP TO DATE
23 ON OUR EXPENDITURES RELATIVE TO OUR COMMITMENTS
24 BECAUSE I THINK IT'S IMPORTANT TO KEEP THAT
25 STRAIGHT.

BARRISTERS' REPORTING SERVICE

1 THE OTHER REASON I SHOW THIS SLIDE IS IF
2 YOU LOOK AT THE COLUMNS TO THE RIGHT, THE ONE IN THE
3 MIDDLE SAYS VALUE OF PROGRAMS UNDER MANAGEMENT.
4 WHAT I MEAN BY THAT IS THAT'S ALL COMMITMENTS MINUS
5 THOSE PROGRAMS THAT HAVE TERMINATED. SO OUR ACTUAL
6 COMMITMENTS ARE AT ABOUT A BILLION 50 MILLION, BUT
7 CURRENTLY WE ARE MANAGING PROGRAMS VALUED AT A
8 LITTLE OVER A BILLION DOLLARS.

9 ACTIVE AWARDS HAVE GROWN. IN 2005-6 WE
10 WERE MANAGING 16. WE NOW HAVE 303 ACTIVE AWARDS.
11 STAFF HAS GONE FROM 22 TO 44, AND THE OPERATING
12 BUDGET FROM 5.5 MILLION TO A LITTLE OVER 12 MILLION
13 IN THIS PAST YEAR.

14 NOW, IF YOU LOOK AT THE BOTTOM, I THINK
15 THAT YOU CAN SEE THAT THERE HAS BEEN AN ENORMOUS
16 GROWTH IN THE PROGRAMS, THE NUMBER OF GRANTS AND THE
17 AMOUNT OF MONEY UNDER MANAGEMENT, FAR LESS GROWTH IN
18 THE STAFF SIZE AND THE OPERATING BUDGET. ONE WAY TO
19 LOOK AT IT IS IF YOU LOOK AT WHAT WAS THE OPERATING
20 BUDGET RELATIVE TO THE PROGRAMS WE WERE MANAGING IN
21 2005-6, THE VALUE OF WHAT WE WERE MANAGING WAS ABOUT
22 SEVEN TIMES THE OPERATING BUDGET. IT'S NOW ABOUT 90
23 TIMES THE OPERATING BUDGET.

24 I ACTUALLY GRAPHED THOSE LAST FOUR
25 COLUMNS, THE INFORMATION HERE, SO THIS SHOWS

BARRISTERS' REPORTING SERVICE

1 RELATIVE CHANGE SINCE 2005. THE GREEN LINE IS THE
2 VALUE OF ALL THE PROGRAMS UNDER MANAGEMENT. SO
3 THAT'S GONE UP 26 TIMES SINCE 2005. THE NUMBER OF
4 AWARDS UNDER MANAGEMENT HAS GONE UP ABOUT 19 TIMES.
5 THEN THOSE LINES AT THE BOTTOM SHOW THE OPERATING
6 BUDGET, AND THE STAFF YOU CAN BARELY SEE BECAUSE THE
7 RED LINE IS HIDING BEHIND THE YELLOW LINE. THOSE
8 HAVE BASICALLY DOUBLED IN THAT PERIOD.

9 I THINK THIS IS AN INDICATION OF HOW THE
10 PROGRAMS HAVE GROWN AND THE RESPONSIBILITIES HAVE
11 GROWN, AND ALSO THE WORKLOAD ON THE STAFF HAS GROWN.

12 NOW, SORT OF IN THAT CONTEXT, LET'S LOOK
13 AT THE BUDGET THAT WE'RE PROPOSING FOR THIS YEAR. I
14 KNOW MANY OF YOU OFTEN LIKE TO KNOW HOW OUR NEW
15 BUDGET COMPARES TO NOT WHAT OUR PREVIOUS BUDGET WAS,
16 BUT TO WHAT OUR EXPENDITURES FOR THE PREVIOUS FISCAL
17 YEAR WOULD BE. RIGHT NOW, SINCE WE HAVEN'T FINISHED
18 THE FISCAL YEAR, BUT WE HAVE DONE A PRETTY THOROUGH
19 ESTIMATE, MARGARET FERGUSON AND CHILA SILVA-MARTIN
20 HAVE DONE THIS, AS TO WHERE WE WILL BE AS OF JUNE
21 30TH THIS YEAR. AND WE EXPECT TO BE ABOUT 5 PERCENT
22 UNDER WHAT WAS APPROVED LAST YEAR. SO THAT'S THE
23 CONTEXT FROM WHERE WE'RE STARTING.

24 NOW, YOU HAVE A LOT OF INFORMATION, A LOT
25 OF TABLES IN YOUR BINDERS. I'M NOT GOING TO GO

BARRISTERS' REPORTING SERVICE

1 THROUGH THESE IN DETAIL. I'M JUST GOING TO TRY TO
2 HIT ON A COUPLE OF HIGHLIGHTS. THE OVERALL BUDGET
3 THAT WE'RE PROPOSING IS ABOUT A 24-PERCENT INCREASE
4 OVER WHAT WAS APPROVED LAST YEAR. IT'S 29 PERCENT
5 ABOVE WHAT WE WILL HAVE EXPENDED AT THE END OF JUNE
6 THIS YEAR.

7 AND THE INCREASE IS REALLY IN FOUR AREAS.
8 THEY'RE SORT OF SUMMARIZED HERE. AND YOU HAVE SOME
9 DETAILS ABOUT THIS, I THINK, IN YOUR BINDERS.
10 SALARIES AND BENEFITS HAVE GONE UP ABOUT ALMOST 1.4
11 MILLION. NOW, THAT'S DUE IN LARGE PART TO THE FACT
12 THAT WE'RE PROJECTING OUR STAFF SIZE WILL FINALLY
13 REACH THAT UPPER LIMIT WE HAVE OF 50. SO WE WILL GO
14 FROM OUR CURRENT LEVEL OF 44 TO 50. WE EXPECT TO BE
15 THERE. AND THE OTHER THING THAT YOU NEED TO MAKE
16 NOTE OF IS THERE'S ABOUT \$400,000 OF THIS INCREASE
17 IS DUE TO THE FACT THAT IN THE STATE OF CALIFORNIA
18 SYSTEM, YOU HAVE TO WORK IN THE SYSTEM FOR TWO YEARS
19 BEFORE YOU BECOME ELIGIBLE FOR STATE CONTRIBUTION TO
20 YOUR RETIREMENT PLAN. WE HAVE 15 PEOPLE ON STAFF
21 WHO WERE HIRED IN 2008-2009, AND THIS YEAR WILL BE
22 THE FIRST YEAR IN WHICH THEY HAVE EITHER FULL
23 RETIREMENT BENEFITS OR PARTIAL RETIREMENT BENEFITS.
24 SO OF THAT ONE 1.4 MILLION, ABOUT 400,000 OF IT IS
25 DUE TO THAT INCREASE IN RETIREMENT BENEFITS.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN KLEIN: JOHN, I THINK IT WOULD BE
2 APPROPRIATE TO POINT OUT THAT WHILE THERE WERE OTHER
3 INDIVIDUALS ON PARTIAL YEAR SALARIES IN PRIOR YEARS,
4 YOU'RE NOW PICKING UP THEIR FULL YEAR SALARIES.

5 DR. ROBSON: I THOUGHT I SAID THAT. I
6 MEANT TO SAY THAT.

7 CHAIRMAN KLEIN: I JUST WANTED TO
8 EMPHASIZE THAT IN ADDITION TO POSITIONS THAT YOU'RE
9 ADDING, YOU'RE GAINING FULL YEAR SALARIES ON PRIOR
10 PARTIAL YEAR SALARIES.

11 DR. ROBSON: THE SECOND ONE IS EXTERNAL
12 CONTRACTS. AND, AGAIN, IN THAT WE HAVE A FEW AREAS
13 WHICH ACCOUNT FOR MOST OF THAT \$500,000 INCREASE.
14 ONE IS WE'RE DOING A SALARY SURVEY. THIS IS
15 SOMETHING THAT HAS BEEN DONE IN THE PAST. IT HASN'T
16 BEEN DONE FOR SEVERAL YEARS, BUT WE DO THAT TO
17 BENCHMARK OUR SALARY SCALES AGAINST COMPARABLE
18 INSTITUTIONS IN THE STATE. WE HAVE -- THAT'S
19 \$80,000. THAT'S BASED ON WHAT IT COST US LAST TIME
20 TO DO IT.

21 WE HAVE AN EXTERNAL SCIENTIFIC REVIEW.
22 ALAN HAS MENTIONED THAT TO YOU SEVERAL TIMES. WE
23 BUDGETED THAT AT ABOUT \$180,000. THAT WILL TAKE
24 PLACE IN THE FALL. AND THEN THE OTHER BIG ITEM
25 THAT'S A NEW ITEM IS THE ONLINE JOURNAL THAT ALAN

BARRISTERS' REPORTING SERVICE

1 DESCRIBED. AS YOU'VE HEARD, THERE'S GOING TO BE A
2 PROCESS TO EVALUATE THAT, BUT THAT ACCOUNTS FOR
3 ABOUT \$200,000 OF THAT INCREASE.

4 THE THIRD AREA OF INCREASE IS IN THE
5 GRANTS WORKING GROUP MEETINGS, AND THAT'S LARGELY
6 BECAUSE WE'RE GOING TO HAVE A LOT MORE OF THOSE
7 MEETINGS. AND ONE OTHER FACTOR, WHICH I'LL COME TO
8 IN A MINUTE. WE HAD THREE FULL GRANT WORKING GROUP
9 MEETINGS, ONE PREAPPLICATION REVIEW, AND ONE
10 LEADERSHIP AWARD MEETING IN THIS CURRENT FISCAL
11 YEAR. NEXT YEAR WE'RE TARGETING SIX FULL GRANT
12 WORKING GROUP MEETINGS, SEVERAL PREAP MEETINGS, AND
13 THEN FOUR FOR THE RESEARCH LEADERSHIP AWARDS. SO
14 IT'S A REAL RAMP-UP IN THE NUMBER OF MEETINGS THAT
15 WE'LL HAVE.

16 AND THEN THE OTHER FACTOR THAT ACCOUNTS
17 FOR THIS DIFFERENCE, WHICH PROBABLY WE SHOULD NOT
18 HAVE INCLUDED IN THIS CATEGORY, IS THAT WE WILL BE
19 HAVING -- ASSEMBLING OVERSIGHT COMMITTEES FOR THE
20 DISEASE TEAMS. AND WE HAVE BUDGETED FOR TWO
21 MEETINGS OF THAT OVERSIGHT COMMITTEE DURING THIS
22 FISCAL YEAR AT \$125,000 EACH. SO THAT'S ANOTHER
23 250,000. THAT'S WHAT ACCOUNTS FOR THE INCREASE IN
24 THIS CASE IN THIS CATEGORY.

25 AND THE FINAL ONE IS INFORMATION

BARRISTERS' REPORTING SERVICE

1 TECHNOLOGY, AND THIS HAS BEEN A DIFFICULTY FOR US.
2 WE'VE STRUGGLED WITH OUR I.T. ALL ALONG. WE ARE IN
3 A POSITION NOW -- DURING THE PAST YEAR WE WENT
4 THROUGH A VERY EXTENSIVE REVIEW OF OUR GRANTS
5 MANAGEMENT SYSTEM. AS YOU KNOW, WE'VE BEEN TRYING
6 TO DEVELOP AND IMPLEMENT AN AUTOMATED ELECTRONIC
7 WEB-BASED GRANTS MANAGEMENT SYSTEM. WE'VE HAD SOME
8 DIFFICULTY WITH THAT; BUT AFTER THIS REVIEW, THIS
9 EXTENSIVE REVIEW WE DID OF COMMERCIAL PRODUCTS AND
10 AN IN-HOUSE CUSTOM MADE PRODUCT, WE DETERMINED, AND
11 WE HAD SEVERAL CONSULTANTS INVOLVED IN THIS WHO ALSO
12 AGREED, THAT WE REALLY HAVE TO DO A CUSTOMIZED
13 PRODUCT BECAUSE OF THE COMPLEXITY OF OUR PROGRAMS.

14 SO THAT PUTS US INTO THE DEVELOPMENT
15 PHASE. WE HAVE A PRODUCT THAT'S NOW WORKING FOR
16 LOOKING AT THE POSTAWARD REVIEW, KEEPING THAT
17 INFORMATION IN LINE AND PROVIDING US ACCESS SO WE
18 CAN VIEW ACROSS RFA'S, BUT WE STILL NEED TO BUILD
19 PROGRAMS FOR THE APPLICATION, THE REVIEW MODULE, AND
20 THE PROGRESS REPORTS. AND THAT'S NOW IN PROGRESS,
21 BUT THAT PUTS US INTO A DEVELOPMENT PHASE. WE HAVE
22 TO HIRE PROGRAMMERS TO DO THAT, AND WE HAVE ALSO
23 CONTRACTED AN I.T. ADVISOR TO OVERSEE ALL OF OUR
24 I.T. NEEDS FROM DESKTOP SUPPORT TO SERVER
25 MAINTENANCE TO THE WEB SITE TO THIS GRANTS

BARRISTERS' REPORTING SERVICE

1 MANAGEMENT SYSTEM.

2 SO THIS INCREASE IS REALLY BECAUSE WE'VE
3 GONE INTO THIS AGGRESSIVE DEVELOPMENT PHASE, AND WE
4 VIEW THIS AS REALLY A SPIKE IN OUR I.T. COST. AND
5 WE ANTICIPATE THAT AS THE DIFFERENT COMPONENTS FOR
6 THE GRANTS MANAGEMENT SYSTEM COME ONLINE, WE WILL BE
7 ABLE TO REDUCE THE NUMBER OF CONTRACT PROGRAMMERS WE
8 HAVE WORKING ON THIS, AND WE'LL BE ABLE TO SWITCH
9 FROM MORE OF A DEVELOPMENT PHASE, WHICH IS MORE
10 EXPENSIVE, AND MOVE TO A MAINTENANCE PHASE, WHICH
11 WILL BE LESS EXPENSIVE. EVEN OUR I.T. ADVISOR, WE
12 ANTICIPATE THAT IN ABOUT A YEAR THAT POSITION WILL
13 MOVE FROM FULL TIME DOWN TO PART TIME.

14 SO THAT ACCOUNTS FOR THE INFORMATION
15 TECHNOLOGY -- INCREASE IN INFORMATION TECHNOLOGY,
16 BUT IT'S, WE THINK, GOING TO BE TEMPORARILY HIGH,
17 BUT WILL BE REDUCED OVER TIME.

18 AND THE LAST THING I WANTED TO REPORT TO
19 YOU ON, WHICH I'VE DONE IN THE PAST, IS WHERE WE
20 STAND ON OUR 6-PERCENT ALLOCATION. AS YOU RECALL,
21 WE ARE ALLOWED TO SPEND 6 PERCENT OF THAT \$3 BILLION
22 ON OUR OPERATIONS. NOW, AS WE'RE PROGRESSING
23 TOWARDS RAISING THAT FULL 3 BILLION, THERE'S TWO
24 WAYS WE CAN LOOK AT THIS THAT WE'VE DONE IN THE
25 PAST. ONE IS BASE THE 6 PERCENT ON THE TOTAL BOND

BARRISTERS' REPORTING SERVICE

1 SALES TO DATE. THE OTHER IS TO BENCHMARK IT AGAINST
2 THE COMMITMENTS THAT HAVE BEEN MADE BY THE ICOC.

3 AT THE CURRENT TIME IT DOESN'T MAKE A BIG
4 DIFFERENCE BECAUSE THOSE TWO NUMBERS ARE PRETTY
5 CLOSE TO EACH OTHER. BUT IF YOU LOOK BASED ON BOND
6 SALES, WE'VE SOLD A LITTLE OVER A BILLION, ALMOST
7 \$1.3 BILLION IN BONDS SO FAR. SIX PERCENT OF THAT
8 IS 61 MILLION SEVEN. WE EXPECT TO BE OR WE WILL BE
9 AT THE END OF JUNE, THIS MONTH WE WILL HAVE EXPENDED
10 A TOTAL OF 36.8 MILLION ON OPERATIONS SINCE CIRM'S
11 INCEPTION. WE EXPECT TO ADD ANOTHER 13.5 TO THAT
12 THIS YEAR, WHICH WOULD BRING US TO 50.3, WHICH IS
13 WELL UNDER THE 61.7, SO WE'LL STILL HAVE ROOM. IF
14 YOU BASE IT ON TOTAL COMMITMENTS, OBVIOUSLY THOSE
15 ARE A LITTLE HIGHER, SO WE'RE WELL UNDER THAT AS
16 WELL.

17 CHAIRMAN KLEIN: AND, DR. ROBSON, THE
18 ORIGINAL INTENT WAS, IN FACT, THAT BY SIZING IT ON
19 COMMITMENTS, YOU HAVE A WORKING BUDGET THAT IS
20 SCALED, AND THEN YOU RECONCILE IT BACK AGAINST BOND
21 SALES BECAUSE WHEN YOU GO THROUGH THE BOND SALES,
22 YOU CANNOT INCLUDE MORE THAN 6 PERCENT IN THE BOND
23 SALES. SO IT'S A DOUBLE SYSTEM MEANT TO BE
24 FORECASTING GOING FORWARD AND RECONCILING AS YOU
25 ACTUALLY ISSUE THE BONDS.

BARRISTERS' REPORTING SERVICE

1 DR. ROBSON: SO THAT WAS THE END OF MY
2 PRESENTATION BECAUSE I THINK IT'S MORE APPROPRIATE
3 TO OPEN THIS UP FOR QUESTIONS.

4 CHAIRMAN KLEIN: QUESTIONS?

5 DR. FRIEDMAN: MR. CHAIRMAN, IT'S MIKE
6 FRIEDMAN. MAY I ASK A QUESTION?

7 CHAIRMAN KLEIN: ABSOLUTELY. YOU HAVE THE
8 FLOOR.

9 DR. FRIEDMAN: I FEAR THAT I PROBABLY
10 MISSED SOMETHING AND THAT THE INFORMATION TECHNOLOGY
11 PLAN HAS PROBABLY BEEN REVIEWED AND APPROVED BY A
12 WORKING GROUP OR SUBCOMMITTEE, BUT I AM VERY
13 CONCERNED ABOUT THE IDEA OF CUSTOM DESIGNED SYSTEMS.
14 AND NOTWITHSTANDING THE CONSULTANT'S PLEDGE THAT
15 COSTS WILL GO DOWN AND THAT IT WILL BE TRANSITIONED,
16 AND I'M CERTAINLY NOT DOUBTING THEIR SINCERITY, I
17 JUST MY GUESS IS THAT EVERYBODY SITTING AROUND THIS
18 TABLE HAS SEEN HORRIBLE EXAMPLES OF CUSTOM DESIGNED
19 SYSTEMS GO BAD. AND FOR AN AGENCY LIKE OURSELVES
20 THAT HAS A VERY MODEST AND VERY SLIM OPERATING
21 BUDGET TO DO A GREAT MANY VERY COMPLICATED TASKS,
22 I'M JUST VERY CONCERNED ABOUT THIS.

23 AND SO YOU CAN SET MY MIND AT EASE BY
24 SAYING THAT THIS HAS BEEN REVIEWED AND MAYBE
25 APPROVED AT A PREVIOUS MEETING, AND I'M JUST

BARRISTERS' REPORTING SERVICE

1 FORGETTING IT, AND I APOLOGIZE FOR THAT. BUT I JUST
2 WANT TO EXPRESS MY DEEP CONCERN OVER THIS. THANK
3 YOU.

4 CHAIRMAN KLEIN: DR. ROBSON.

5 DR. ROBSON: WELL, WE HAVE BROUGHT THIS UP
6 SEVERAL TIMES TO THE GOVERNANCE SUBCOMMITTEE. AND
7 THE LAST MEETING IN WHICH WE DISCUSSED THIS WE
8 EXPLAINED TO THEM THAT WE WERE GOING TO EVALUATE
9 WHETHER OR NOT WE COULD DO AN OFF-THE-SHELF SYSTEM
10 OR WOULD WE HAVE TO DO A CUSTOM SYSTEM. WE DID NOT
11 GO BACK -- WE WERE NOT -- AS FAR AS I RECALL, THERE
12 WAS NOT A REQUEST MADE FOR US TO COME BACK FOR
13 APPROVAL ON THAT, SO WE DID NOT. THIS HAS BEEN AN
14 INTERNAL DECISION THAT WE'VE MADE, BUT IT'S BEEN
15 REALLY EXTENSIVELY DISCUSSED AND ANALYZED.

16 ONE OF THE REASONS THAT THE PRICE, AS I
17 SAY, THE COST WILL BE HIGH THIS YEAR IS THAT WE'RE
18 DOING TWO THINGS SIMULTANEOUSLY. WE'RE MAINTAINING
19 THE CURRENT SYSTEM, AND WE NEED PROGRAMS TO DO THAT
20 SO WE CAN KEEP OUR RFA'S MOVING ALONG, AND WE ARE
21 BUILDING A NEW SYSTEM.

22 DR. FRIEDMAN: I DO UNDERSTAND THAT. AND,
23 AGAIN, I MAY BE -- I WISH I COULD BE THERE TO SEE
24 THE FACES OF MY COLLEAGUES BECAUSE THEY MIGHT THINK
25 I'M JUST WASTING TIME, AND I APOLOGIZE FOR THAT.

BARRISTERS' REPORTING SERVICE

1 BUT THE OUT-YEAR COST FOR THESE THINGS, YOU'RE GOING
2 TO HAVE TO UPGRADE IT. AT SOME POINT YOU'RE GOING
3 TO HAVE TO TRANSITION IT. MY OWN EXPERIENCE, AND A
4 LOT OF I.T. PEOPLE THAT I'VE WORKED WITH AND TALKED
5 WITH HAVE SAID THAT UNLESS YOU'RE PREPARED TO COMMIT
6 AN ENORMOUS AMOUNT OF MONEY AND A HUGE AMOUNT OF
7 EFFORT, YOU'RE REALLY -- YOU'RE STEPPING ONTO SOME
8 VERY THIN ICE HERE.

9 AGAIN, I DON'T WANT TO SECOND-GUESS THE
10 GOVERNANCE COMMITTEE. I RESPECT THEIR JUDGMENT ON
11 THIS. I HAVE TO EXPRESS IN THE STRONGEST POSSIBLE
12 TERMS MY DISCOMFORT WITH THINKING ABOUT A DESIGNED
13 SYSTEM FOR SOMETHING THAT EVEN FOR COMPLICATED
14 THINGS THAT WE DO, I'M SORRY. I WON'T REPEAT IT
15 AGAIN. I JUST NEED TO EXPRESS MY CONCERN. THANKS.

16 CHAIRMAN KLEIN: JEFF SHEEHY.

17 MR. SHEEHY: WELL, JUST FOR THE RECORD FOR
18 DR. FRIEDMAN, I'M ON THE GOVERNANCE COMMITTEE. I
19 DON'T THINK WE'VE EVER LOOKED AT THIS THE WAY YOU
20 HAVE.

21 MR. ROTH: ARE YOU SURE IT'S THE
22 GOVERNANCE COMMITTEE THAT REVIEWED THIS? WASN'T IT
23 THE FINANCE COMMITTEE? WHY WOULD GOVERNANCE?

24 DR. ROBSON: WHEN WE PRESENTED THE
25 CONTRACTS.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN KLEIN: FINANCE COMMITTEE.

2 MR. SHEEHY: I JUST DON'T THINK -- I THINK
3 TO DR. FRIEDMAN'S POINT, AND THIS IS NOT WITHIN MY
4 REALM OF EXPERTISE, THE KIND OF ANALYSIS THAT HE'S
5 SUGGESTING I DON'T THINK HAS HAPPENED AT THE BOARD
6 LEVEL IS ALL I WOULD -- MAYBE YOU HAVE A DIFFERENT
7 VIEW ON THAT.

8 MR. ROTH: MY RECOLLECTION IS WE SPENT A
9 GREAT DEAL OF TIME ON THIS LAST YEAR, AND WE HAD A
10 LENGTHY DISCUSSION BECAUSE THAT'S WHEN WE DECIDED TO
11 ABANDON THE FIRST OFF-THE-SHELF SYSTEM.

12 DR. ROBSON: IF YOU RECALL, WE DID HAVE AN
13 OFF-THE-SHELF SYSTEM WHICH WAS CONSIDERED THE
14 STATE-OF-THE-ART SYSTEM FOR US, AND WE COULD NOT
15 ADAPT IT TO OUR NEEDS.

16 MR. ROTH: SO MY IMPRESSION IS THIS IS AN
17 EVOLUTIONARY PROCESS, AND A DECISION WAS REACHED TO
18 GO CUSTOM. AND THAT DECISION, AS JOHN JUST
19 DESCRIBED, WAS BASICALLY AN INTERNAL DECISION. SO
20 TO ANSWER THE QUESTION ACCURATELY, THERE WASN'T
21 REALLY ANY COMMITTEE OF THE BOARD THAT LOOKED AT
22 THIS. I DON'T THINK IT WAS BROUGHT FORWARD OR FELT
23 TO BE NECESSARY.

24 I THINK, HOWEVER, BASED ON THE COMMENTS
25 THAT DR. FRIEDMAN MADE, AND I KNOW A LOT OF PEOPLE

BARRISTERS' REPORTING SERVICE

1 AROUND THE ROOM ARE NODDING THEIR HEADS, THE
2 RECOMMENDATION FROM SOME OF US, INCLUDING DR.
3 FRIEDMAN, WOULD BE TO LOOK VERY, VERY CAREFULLY
4 BEFORE YOU DECIDE TO GO DOWN THE PATH OF CUSTOM
5 SOFTWARE.

6 DR. ROBSON: WE SPENT A YEAR DOING JUST
7 THAT.

8 CHAIRMAN KLEIN: I THINK IT WOULD BE
9 PROBABLY VALUABLE TO SCHEDULE THIS FOR A REPORT SO
10 WE CAN MONITOR THIS AS IT GOES FORWARD. WITH THE
11 BEST EFFORTS OF STAFF, TOTAL DEDICATION, YOU CAN RUN
12 INTO UNFORESEEN PROBLEMS IN THIS AREA. AS DR.
13 FRIEDMAN SAYS, THEY ARE LEGEND. THE PROBLEM IS THAT
14 THE SCIENTIFIC STAFF AND THE ADMINISTRATION HAVE PUT
15 A HUGE AMOUNT OF TIME INTO TRYING TO FIGURE OUT WHAT
16 STANDARD SYSTEM THEY COULD USE, AND THAT WAS NOT
17 REALLY AVAILABLE. AND SO THEY DID MAKE THIS
18 MODIFICATION, REPORTED IT TO THE FINANCE COMMITTEE,
19 AND I THINK AT THIS POINT CLOSELY MONITORING IT,
20 SEEING IF WE'RE MAKING GOOD PROGRESS, LOOKING AT THE
21 MILESTONES IS PROBABLY WHERE WE'RE AT AT THE MOMENT.

22 SO I CAN TALK TO DR. TROUNSON, DR. ROBSON,
23 AND WE CAN THINK OF A SCHEDULE FOR REGULAR
24 MONITORING OF THIS ON PROGRESS AND MILESTONES SO WE
25 CAN SEE HOW WE'RE GOING FORWARD. AND IN THAT

BARRISTERS' REPORTING SERVICE

1 PROCESS, PERHAPS WE CAN GET AN INDEPENDENT ANALYSIS
2 OF OUR ABILITY TO MAINTAIN THIS SYSTEM EFFECTIVELY
3 AT A COST THAT IS REASONABLE.

4 DR. STEWARD: I WONDER IF THIS MIGHT BE A
5 SITUATION WHERE A SUBCOMMITTEE OF THE BOARD COULD
6 PLAY AN IMPORTANT ROLE. THERE ARE PEOPLE ON THIS
7 BOARD, I'M NOT AMONGST THEM, WHO HAVE EXPERTISE IN
8 THESE KINDS OF THINGS AND HAVE STRONG OPINIONS ABOUT
9 IT. AND IT MIGHT BE SOMETHING THAT WOULD BE A
10 USEFUL THING TO DO AT THIS POINT.

11 MR. ROTH: SO ONE -- JUST ONE COMMENT.
12 I'M NOT SURE IT'S GOING TO AFFECT THE BUDGET EITHER
13 WAY. SO THAT'S KIND OF AN INDEPENDENT DECISION. I
14 DON'T WANT TO ARGUE THAT HERE BECAUSE IT'S GOING TO
15 COST MONEY TO GO TO A NEW SYSTEM OFF THE SHELF OR
16 CUSTOM. BUT I THINK THE RECOMMENDATION YOU'RE
17 MAKING, OS, IS A GOOD ONE, NOT NECESSARILY THAT IT
18 HAS TO BE THE BOARD, BUT THERE SHOULD BE SOME KICK
19 THE TIRES ON THIS ONE MORE TIME AND GET SOME OTHER
20 PEOPLE INVOLVED THAT DO UNDERSTAND AND MAKE SURE
21 WE'RE ALL COMFORTABLE WITH THAT.

22 DR. ROBSON: PERHAPS AT ANOTHER MEETING,
23 WE COULD PROBABLY DO IT IMPROMPTU THIS MEETING, BUT
24 IF YOU'D LIKE AT ANOTHER MEETING, WE CAN GIVE YOU A
25 REPORT ON WHAT WE ACTUALLY DID, THE PROCESS WE'VE

BARRISTERS' REPORTING SERVICE

1 GONE THROUGH.

2 CHAIRMAN KLEIN: MY UNDERSTANDING IS THIS
3 IS PARTIALLY OPERATIONAL TO DATE, AND THEY ARE
4 GETTING GOOD DATA FROM IT. THEY HAVE SOME
5 EXPERIENCE IN THIS DEVELOPMENT TEAM. BUT I'D
6 SUGGEST, IN THE INTEREST OF TIME FOR THE BOARD, LET
7 ME TALK WITH DR. TROUNSON, DR. ROBSON. WE'LL SEE IF
8 THERE'S AN APPROPRIATE SUBCOMMITTEE, DR. STEWARD,
9 AND SEE IF WE CAN EXPLORE A MONITORING AND MILESTONE
10 DRIVEN PROGRAM ON THIS.

11 DR. TROUNSON: I THINK I'LL GET JOHN
12 ROBSON TO GIVE A LITTLE BIT OF HISTORY IN A WRITTEN
13 RESPECT FROM IT BECAUSE THERE HAVE BEEN A LOT OF
14 CONSULTANTS INVOLVED IN THIS, ENORMOUS NUMBER. PART
15 OF THE PROBLEM IS THAT WE KEEP CHANGING BECAUSE WE
16 KEEP EVOLVING LOANS, ALL SORTS OF THINGS WHICH CAN'T
17 BE ACCOMMODATED NORMALLY IN A FITTED SYSTEM, BUT
18 WE'VE GOT TO BE ABLE TO ACCOMMODATE THEM SOMEHOW.
19 SO ALL THE THINGS THAT WE CHANGE ALL THE TIME, WE
20 NEED TO BE ABLE TO REFORMAT THE SYSTEM. WHEN IT'S
21 NOT REFORMATTABLE, THEN WE RUN INTO PROBLEMS. SO
22 IT'S A WORKING SYSTEM. THE SYSTEM IS WORKING, BUT
23 WE WOULD LIKE TO BE ABLE TO INCORPORATE THE THINGS
24 THAT WE MAKE CHANGES OF THAT COME DOWN FROM POLICY
25 THAT ARE REALLY IMPORTANT TO GET IN AND NOT TRY TO

BARRISTERS' REPORTING SERVICE

1 CARRY THEM ON THE SIDE WHEN THE SYSTEM CAN'T HAVE
2 IT.

3 SO IF I GET JOHN TO PREPARE SOME OF THE
4 HISTORY FOR IT JUST BECAUSE THERE'S BEEN A LOT OF
5 TIME AND A LOT OF CONSULTANTS' TIME SPENT ON THIS,
6 AND I THINK THEY'RE IN THE RIGHT SPACE. I'M NOT A
7 REAL EXPERT IN THESE SYSTEMS EITHER, THAT'S FOR
8 SURE. BUT I THINK IF YOU HAD THE FULL COMPLEMENT OF
9 WHAT'S BEEN DONE IN THIS SPACE, PLUS AMY LEWIS WHO'S
10 REALLY SHEPHERDED THIS SO HEAVILY THROUGH TIME, I
11 THINK YOU WOULD GET A GOOD FEEL FOR THE PROGRAM.

12 AND I RECOGNIZE EVERYONE'S DISCOMFORT
13 BECAUSE MY INITIAL RESPONSE TO ALL OF THIS WAS TOTAL
14 DISCOMFORT. HERE WE GO AGAIN. BUT IF YOU HAVE A
15 LOOK AT WHAT WE'VE GOT AND WHAT WE'VE GOT TO DO AND
16 THE WAY WE'VE GOT TO CHANGE THINGS BECAUSE THINGS
17 CHANGE ON US A LOT, I THINK YOU HAVE TO HAVE SOME
18 DEGREE OF CUSTOMABILITY IN THE PRIMARY PROGRAM TO
19 ENABLE IT TO WORK. CAN WE DO THAT? GET A HISTORY
20 AND THEN WE BRING IT FORWARD FOR A GROUP OF
21 INTERESTED PEOPLE.

22 CHAIRMAN KLEIN: ABSOLUTELY. AND JUST TO
23 EMPHASIZE, THERE IS AN OPERATING SYSTEM AT THIS
24 POINT THAT HAS BEEN MODIFIED AND IS PRODUCING A LOT
25 MORE THAN DATA THAN WE COULD PREVIOUSLY ACCESS. SO

BARRISTERS' REPORTING SERVICE

1 THERE IS CERTAINLY PROGRESS HERE. BUT, DR.
2 FRIEDMAN, WE APPRECIATE YOUR SUGGESTION. WE'LL
3 FOLLOW UP ON IT.

4 DR. ROBSON: JUST AS A FINAL COMMENT, THE
5 PROGRESS REPORT COMPONENT IS REALLY IN ITS FINAL
6 TESTING STAGES NOW. WE'RE JUST ABOUT READY TO ROLL
7 THAT ONE, THE CUSTOM BUILT ONE WE HAVE.

8 AND THEN I WOULD ALSO REMIND YOU THAT THE
9 COMMERCIAL PRODUCT THAT WE WERE WORKING WITH BEFORE,
10 THE INITIAL CONTRACT WE HAD WAS FOR ABOUT HALF A
11 MILLION DOLLARS, BUT IT HAD A LIMITED SCOPE OF WHAT
12 IT WAS GOING TO PROVIDE. BY THE TIME -- TO
13 INCORPORATE NEW PROCESSES THAT HAVE COME NOW SINCE
14 THEN, WE WERE ESTIMATING THAT THAT PRODUCT WAS GOING
15 TO COST US AT LEAST A MILLION DOLLARS, SO JUST TO
16 KEEP THAT IN A CONTEXT.

17 CHAIRMAN KLEIN: LET ME BE CLEAR. MY
18 UNDERSTANDING IS YOU MONITORED THAT VERY CAREFULLY
19 AND CLOSELY AND CUT THAT OFF AT A VERY LOW COST; IS
20 THAT CORRECT?

21 DR. ROBSON: ABOUT 250,000 WE PAID OUT IN
22 DEVELOPMENT.

23 CHAIRMAN KLEIN: YOU CUT IT OFF AT ABOUT
24 50 PERCENT OF THE ORIGINAL CONTRACT LEVEL.

25 DR. ROBSON: YES.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN KLEIN: SO IF WE CAN, ARE THERE
2 OTHER QUESTIONS ON OTHER PORTIONS OF THE BUDGET?

3 MR. ROTH: I WOULD LIKE TO JUST MAKE ONE
4 COMMENT, THAT I THINK IT'S CRITICAL THAT THE
5 POSITIONS THAT ARE BUDGETED IN THIS BUDGET, WHICH WE
6 WENT THROUGH PREVIOUSLY, THAT WE GET THOSE FILLED AS
7 QUICKLY AS POSSIBLE. AND JUST I WAS ABLE TO HAVE A
8 CONVERSATION TODAY BOTH WITH THE CHAIR AND THE
9 PRESIDENT ABOUT GETTING THE BOND EXPERT POSITION
10 FILLED, AND THERE'S AGREEMENT THAT WE SHOULD
11 AGGRESSIVELY MOVE FORWARD ON THAT. SO I SUPPORT
12 THAT.

13 I WOULD LIKE TO MAKE A MOTION THAT WE
14 ACCEPT THE BUDGET AS PRESENTED.

15 DR. HAWGOOD: SECOND.

16 CHAIRMAN KLEIN: THERE'S A MOTION BY DUANE
17 ROTH, SECOND DR. HAWGOOD. DISCUSSION? PUBLIC
18 DISCUSSION?

19 DR. TROUNSON: THERE WAS JUST ONE
20 CLARIFICATION. I'M BRINGING THAT UP BECAUSE IT WAS
21 RAISED IN THE SUBCOMMITTEE BY DAVID SERRANO-SEWELL
22 ABOUT TRAVELING EXPENSES IN THE PRESIDENT'S OFFICE.
23 AND IF YOU NEED AN EXPLANATION OF THAT, IT'S
24 PRIMARILY BECAUSE I'VE INCORPORATED THE GENERAL
25 COUNSEL IN THAT PROGRAM. SO HER TRAVEL TO FDA, TO

BARRISTERS' REPORTING SERVICE

1 NIH, AND TO WASHINGTON AND TO SOME OTHER PLACES HAS
2 NOW BEEN INCORPORATED IN THAT BUDGET. BUT IF YOU
3 NEED ANY MORE DETAILS OF THAT, WE'RE HAPPY TO SUPPLY
4 IT. MINE ACTUALLY HASN'T GONE UP AT ALL.

5 CHAIRMAN KLEIN: I GOT THAT INFORMATION,
6 REPORTED IT TO DAVID SERRANO-SEWELL. HE APPEARED TO
7 BE FINE WITH THAT DATA.

8 PUBLIC COMMENT? COMMENT ONLINE? SO,
9 MELISSA, WE DON'T HAVE TO HAVE A RECORD VOTE ON
10 THIS. WE CAN DO A VOICE VOTE. SO CALL THE
11 QUESTION. ALL IN FAVOR?

12 (CHORUS OF AYES.)

13 DR. FRIEDMAN: AYE.

14 CHAIRMAN KLEIN: OPPOSED?

15 (NO RESPONSE.)

16 MR. HARRISON: MR. CHAIR, WE HAVE A COUPLE
17 OF MEMBERS ON THE TELEPHONE. WE HEARD DR. FRIEDMAN.

18 CHAIRMAN KLEIN: I'M GOING TO ASK MELISSA
19 TO FOLLOW UP WITH DR. POMEROY AND DR. FRIEDMAN.

20 MS. KING: DR. FRIEDMAN.

21 DR. FRIEDMAN: AYE.

22 MS. KING: DR. POMEROY.

23 DR. POMEROY: YES.

24 MS. KING: DR. PULIAFITO.

25 DR. PULIAFITO: YES.

BARRISTERS' REPORTING SERVICE

1 MS. KING: AND JOAN SAMUELSON.

2 MS. SAMUELSON: YES.

3 MR. HARRISON: MR. CHAIR, CAN I REQUEST
4 THAT WE LEAVE THE ROLL OPEN ON THAT ITEM?

5 CHAIRMAN KLEIN: WE CAN. AND I'M GOING TO
6 ASK THAT WE TAKE A FEW-MINUTE BREAK FOR THE BENEFIT
7 OF THE REPORTER AND ALL OF US WHO HAVE BEEN HARD AT
8 WORK AT THIS.

9 (A RECESS WAS TAKEN.)

10 CHAIRMAN KLEIN: WE'RE RECONVENING. DR.
11 ROBSON, WOULD YOU LIKE TO BEGIN YOUR PRESENTATION.

12 DR. ROBSON: OKAY. THANK YOU, CHAIR. AND
13 THIS PRESENTATION PROBABLY SHOULD HAVE FOLLOWED PAT
14 OLSON'S BECAUSE IT'S A BIT OF A SEQUENCE, BUT HERS
15 WAS RECENT ENOUGH THAT I'M SURE YOU CAN REMEMBER
16 WHAT THE KINDS OF ISSUES SHE WAS COVERING. I WAS
17 ASKED ABOUT --

18 CHAIRMAN KLEIN: DR. ROBSON, JUST A
19 SECOND. DR. OLSON, I THINK THAT WE'RE FINE. WE
20 KNOW YOU HAVE TO LEAVE FOR A PLANE, SO AT ANY TIME
21 THAT YOU FEEL YOU NEED TO LEAVE.

22 DR. ROBSON.

23 DR. ROBSON: SO A FEW MONTHS AGO ALAN
24 ASKED ME TO TRY TO DO SOME PROJECTIONS TO SEE HOW
25 LONG OUR \$3 BILLION WOULD LAST BASED ON THE CURRENT

BARRISTERS' REPORTING SERVICE

1 RFA SCHEDULES THAT WERE BEING DEVELOPED AND HOW WE
2 THOUGHT THINGS WERE GOING TO GO OVER THE NEXT FEW
3 YEARS. THERE WERE A COUPLE OF REASONS TO DO THAT.
4 ONE IS WE HAVE THAT EXTERNAL REVIEW COMING,
5 SCIENTIFIC REVIEW COMING IN THE FALL, AND WE THOUGHT
6 THIS KIND OF INFORMATION WOULD BE USEFUL FOR THAT
7 PANEL TO HAVE. AND THE OTHER IS THAT WE FEEL THAT
8 CIRM IS SORT OF AT A TRANSITION NOW. WE'RE KIND OF
9 MOVING OUT OF THE START-UP PHASE INTO A MORE MATURE
10 PHASE.

11 WE'VE FUNDED NOW PROGRAMS REALLY ALMOST
12 ALL THE WAY ALONG THE PIPELINE THAT'S PART OF OUR
13 MISSION. WE'VE GOT MANY OF OUR -- MOST OF OUR
14 PROCESSES ARE IN PLACE AND HAVE BEEN TRIED OUT AT
15 LEAST ONCE. AND SO WE SHOULD TAKE A LOOK AND SEE,
16 TRY TO BENCHMARK THE TWO BILLION THAT WE HAVE LEFT
17 AGAINST THE MISSION, AGAINST THE GOALS THAT WERE
18 LAID OUT IN THE STRATEGIC PLAN IN 2006 AND JUST SEE
19 HOW WE WERE DOING.

20 SO YOU HAVE A DOCUMENT THAT GOES THROUGH
21 THIS IN YOUR BINDERS. I'M GOING TO PRESENT THE SAME
22 MATERIAL. I'M GOING TO DO IT IN A BIT OF A
23 DIFFERENT WAY, BUT IT'S ESSENTIALLY THE SAME ISSUES.

24 SO WHAT I'VE DONE IS OR WHAT WE DID IN
25 THIS PROCESS WAS TAKE WHAT WE THOUGHT WAS THE MOST

BARRISTERS' REPORTING SERVICE

1 DIFFICULT, MOST AMBITIOUS OF THE TEN-YEAR GOALS. I
2 SHOULD JUST BACK UP A SECOND AND SAY IF WE DID AN
3 ANALYSIS OF OUR FIVE-YEAR GOALS, WHICH IS ABOUT
4 WHERE WE SHOULD BE NOW, WHERE WE SHOULD BE
5 APPROACHING IN THE NEXT YEAR, WE'RE DOING VERY WELL.
6 WE'RE REALLY ON TRACK TO ACCOMPLISH ALL OR MOST OF
7 THEM WITHIN THE TIMEFRAME. SOME OF THEM WE'VE
8 ALREADY ACCOMPLISHED.

9 SO THE FIVE-YEAR GOALS WE'RE DOING QUITE
10 WELL. SO IT'S JUST THESE TEN-YEAR GOALS, AND I
11 PICKED, LIKE I SAY, WHAT I THINK IS THE MOST
12 AMBITIOUS, MOST DIFFICULT, AND THAT IS THE FIRST OF
13 THE GOALS, WHICH SAYS THAT CIRM GRANTEES WILL HAVE
14 CLINICAL PROOF OF PRINCIPAL THAT TRANSPLANTED CELLS
15 DERIVED FROM PLURIPOTENT STEM CELLS CAN BE USED TO
16 RESTORE FUNCTION IN AT LEAST ONE DISEASE. THAT
17 MEANS WE WILL HAVE GOTTEN ONE OF OUR PROGRAMS
18 THROUGH PHASE II CLINICAL TRIAL AND IT WILL SHOW
19 SAFETY AND EFFICACY. SO CAN WE DO THAT.

20 LET'S LOOK TO SEE WHERE WE ARE NOW. THIS
21 IS A SLIDE THAT'S VERY MUCH LIKE ONE THAT PAT SHOWED
22 YOU EARLIER ONLY I'VE USED CIRCLES AND SQUARES
23 INSTEAD OF TRIANGLES, BUT THE ONES TO FOCUS ON ARE
24 THE PLURIPOTENT CELL PROJECTS THAT ARE IN THE
25 DISEASE TEAMS. AND THOSE ARE THE ONES INDICATED BY

BARRISTERS' REPORTING SERVICE

1 THE LARGE BLACK CIRCLES. SO WE'VE GOT FIVE PROJECTS
2 THAT ARE HEADING TOWARDS THAT TARGET, THAT FIRST
3 LONG-TERM GOAL. SO IS THIS ENOUGH?

4 WELL, IT MAY BE ENOUGH IF ONE OF THEM
5 MAKES IT. BUT IF WE LOOK AT THE DRUG DEVELOPMENT
6 HISTORY, THE WHOLE INDUSTRY, AND WE LOOK AT THE
7 STATISTICS OF WHAT IT TAKES TO GET TO PHASE II OR TO
8 GET A PRODUCT TO PATIENTS, WHAT YOU SEE IS THAT IF
9 YOU GET, SAY, TEN INTO PRECLINICAL DEVELOPMENT,
10 WHICH IS THE START OF OUR DISEASE TEAM I PROGRAM,
11 ABOUT FIVE OF THOSE WILL GET TO AN IND. FOR EVERY
12 FIVE THAT GET TO AN IND, ONLY ABOUT 20 PERCENT OR
13 ONE WILL GET THROUGH PHASE II AND SHOW THAT IT'S
14 SAFE AND EFFICACIOUS.

15 NOW, IT TURNS OUT THAT IF YOU WANT TO
16 ACTUALLY GET TO MARKET -- NOW, THIS IS NOT PART OF
17 OUR MISSION -- BUT IF YOU WANT TO GET A PRODUCT TO
18 THE PATIENTS THROUGH PHASE III, ONLY ABOUT HALF OF
19 THOSE THAT MAKE IT THROUGH PHASE II ACTUALLY MAKE IT
20 TO PATIENTS AFTER PHASE III. SO IF YOU WANT TO
21 THINK THAT IT WOULD BE NICE IF WE HAD SOME
22 CONFIDENCE THAT WE GET COULD GET ONE THAT WAS
23 ACTUALLY GOING TO MAKE IT INTO THE CLINIC, WE WOULD
24 PROBABLY NEED 20 COMING INTO THE DEVELOPMENT PHASE
25 AND TEN IND'S IN ORDER GET TWO THROUGH PHASE II. SO

BARRISTERS' REPORTING SERVICE

1 THAT'S JUST A LITTLE BIT OF BACKGROUND. HOW MANY DO
2 WE NEED? WE WOULD SAY, BASED ON THOSE STATISTICS,
3 WE NEED TO GET FIVE TO AN IND. WE WOULD THINK OF
4 THE CURRENT ONES WE HAVE, ABOUT TWO OF THOSE WILL
5 MAKE IT. THAT'S OUR GUESS IN THE FOUR-YEAR PERIOD.
6 THIS IS AN ESTIMATE.

7 CHAIRMAN KLEIN: DR. ROBSON, YOU'RE
8 SPECIFICALLY ADDRESSING CELLULAR THERAPIES BECAUSE,
9 OF COURSE --

10 DR. ROBSON: CELLULAR THERAPIES. THAT'S
11 THE GOAL IS A CELLULAR THERAPY WITH PLURIPOTENT STEM
12 CELL.

13 CHAIRMAN KLEIN: BECAUSE WE ALREADY HAVE A
14 SMALL MOLECULE THERAPY FOR POLYCYTHEMIA VERA WHICH
15 IS FINISHED THE PHASE I AND GOING INTO PHASE II.

16 DR. ROBSON: THAT ONE WOULD FIT INTO OUR
17 SECOND TEN-YEAR GOAL.

18 MS. SAMUELSON: IS THERE ANY CELLULAR
19 THERAPY THAT IS ON COURSE LIKE THAT ANYWHERE IN THE
20 WORLD?

21 DR. ROBSON: WELL, THERE ARE SOME THAT
22 HAVE RECEIVED -- BEEN THROUGH THE IND APPROVAL. THE
23 ONE THAT'S THE BEST KNOWN ONE, I THINK THERE ARE TWO
24 NOW AND ONE IS ON HOLD, CLINICAL HOLD, THAT'S
25 PLURIPOTENT STEM CELL.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN KLEIN: I THINK DR. OLSON'S
2 COMMENT IS THAT THERE ARE ALREADY CELLULAR THERAPIES
3 OUTSIDE OF PLURIPOTENT.

4 DR. ROBSON: BUT I'M FOCUSING RIGHT NOW ON
5 PLURIPOTENT STEM CELL THERAPIES.

6 SO HOW LONG WILL IT TAKE? WELL, PAT OLSON
7 TALKED ABOUT THIS EARLIER. OUR ESTIMATE IS IT'S
8 GOING TO TAKE THROUGH THAT PRECLINICAL RESEARCH AND
9 DEVELOPMENT PHASE TO AN IND ABOUT FOUR YEARS. AND
10 FROM THERE TO PHASE II, THE HISTORY IN THE INDUSTRY
11 IS THAT THAT WOULD TAKE US ABOUT FIVE YEARS. IT'S A
12 LITTLE HARD TO PREDICT FOR US BECAUSE THIS STEM CELL
13 THERAPY, THE REGULATORY TRACK IS SO NEW, WE DON'T
14 REALLY KNOW WHAT'S GOING TO BE REQUIRED. AND SHE
15 TALKED ABOUT THAT AT SOME LENGTH. I WON'T GO INTO
16 THAT AGAIN.

17 AND THEN FINALLY, HOW EXPENSIVE IT WILL
18 BE. BASED ON OUR ESTIMATES SO FAR AND THE PROGRAMS
19 THAT WE'VE BEEN PUTTING TOGETHER, WE THINK THAT THIS
20 PRECLINICAL PART UP TO THE IND WILL TAKE ABOUT \$20
21 MILLION. AND THAT TO GET THROUGH PHASE II WILL BE
22 ABOUT ANOTHER \$25 MILLION. MAY COST MORE THAN 25
23 MILLION, BUT WE'RE SORT OF ENVISIONING THAT AS OUR
24 CONTRIBUTION IN ORDER TO PUSH THESE PROJECTS THROUGH
25 TO PHASE II. ADDITIONAL FUNDS WOULD HAVE TO COME

BARRISTERS' REPORTING SERVICE

1 THROUGH MATCHING PARTNERS OR SOMETHING.

2 SO LET'S JUST THEN LOOK AT THE PROGRAMS WE
3 HAVE COMING DOWN THE LINE AND SEE ARE WE GOING TO
4 GET THERE AND DO WE HAVE THE FUNDS? HOW MANY OF
5 THESE PROGRAMS DO WE NEED TO PUSH FORWARD? SO THE
6 TOP LINE SHOWED, THIS IS NOW SHOWN AGAINST A
7 CALENDAR, SO THE DISEASE TEAM I PROJECT, WE HAVE
8 FIVE, AS INDICATED ON THE LEFT, PLURIPOTENT STEM
9 CELL THERAPY PROJECTS IN THE WORKS. IF TWO OF THOSE
10 MAKE IT TO AN IND IN ABOUT FOUR YEARS, AN ADDITIONAL
11 FIVE YEARS, IT WOULD TAKE TILL ABOUT 2020 FOR THOSE
12 TO BE THROUGH PHASE II.

13 WE'RE PLANNING A CLINICAL DEVELOPMENT
14 PROGRAM IN THE NEXT YEAR, AND THAT COULD FUND UP TO
15 TWO PROJECTS THAT ALREADY HAVE AN IND. THAT
16 REQUIREMENT OF THAT PROGRAM WOULD BE THAT THEY HAVE
17 AN IND AT THE TIME THE FUNDING STARTS. SO THAT ONE
18 COULD MAKE IT BY, IF IT STARTS IN 2011, COULD MAKE
19 IT BY 2016 BASED ON THE STATISTICS AND PROBABILITIES
20 AND OUR EXPECTATIONS. AND SO IN ORDER TO GET FIVE
21 OR TEN PROGRAMS THROUGH AN IND, WE'RE GOING TO NEED
22 ADDITIONAL CANDIDATES COMING FROM DISEASE TEAMS II
23 OR DISEASE TEAMS III PROGRAMS WHICH WOULD BE IN 2011
24 AND/OR 2012. AND THOSE PROGRAMS WOULD THEN, AS YOU
25 CAN SEE, EXTEND OUT ADDITIONAL YEARS BEFORE THEY

BARRISTERS' REPORTING SERVICE

1 WOULD BE THROUGH PHASE II.

2 SO THE QUESTION IS ARE THE PROGRAMS THAT
3 WE'RE DESIGNING NOW, WILL THEY ENABLE US TO DO THIS.
4 SO, AS YOU KNOW, THE SCIENCE OFFICE HAS BEEN WORKING
5 TOWARD BUILDING A REGULAR REPEATING CORE GROUP OF
6 RFA'S THAT COVER THE FULL SPECTRUM OF THE TRACK --
7 THE PIPELINE THAT IS THE CIRM MISSION. THAT
8 INCLUDES BASIC BIOLOGY, EARLY TRANSLATION, AND
9 DISEASE TEAMS SO FAR.

10 AND THOSE, AS YOU CAN SEE ON THIS RFA
11 SCHEDULE, REPEAT EVERY 12 MONTHS. SO THIS SCHEDULE
12 THAT I'M SHOWING YOU INCLUDES REGULARLY REPEATING
13 RFA'S, THOSE THREE CORE ONES, BUT WE ALSO KNOW THAT
14 THINGS COME UP EVERY YEAR THAT WE WANT TO FUND, THAT
15 YOU WANT TO FUND, PROGRAMS THAT NEED TO BE PUSHED
16 FORWARD OR THINGS THAT HAPPEN IN THE SCIENTIFIC
17 FIELD THAT REQUIRE THAT WE RESPOND TO THOSE. SO
18 WE'VE ALSO INCLUDED IN HERE A TO-BE-DETERMINED OR
19 VARIABLE RFA THAT WOULD REPEAT EVERY YEAR AT ABOUT
20 \$30 MILLION.

21 AND THEN AT THE BOTTOM I SHOW ONE-TIME
22 PROGRAMS THAT HAVE EITHER BEEN ALREADY THROUGH
23 CONCEPT APPROVAL FROM YOU, TOOLS AND TECHNOLOGY AND
24 CLINICAL DEVELOPMENT, AN IPS CELL BANKING RFA THAT
25 WE'VE BEEN DISCUSSING INTERNALLY, AND THEN ALSO

BARRISTERS' REPORTING SERVICE

1 TRAINING AND BRIDGES WHICH WE ANTICIPATE THAT WILL
2 REPEAT BECAUSE THEY'VE BEEN VERY HIGHLY SUCCESSFUL
3 PROGRAMS.

4 SO IF WE TAKE THAT PLAN AND WE PROJECT
5 FORWARD, WHAT DO WE SEE THEN? WELL, WHAT WE WILL
6 SEE, AS IS INDICATED AT THE BOTTOM, IS THAT THE
7 FINAL RFA THAT WOULD GO OUT WOULD BE DISEASE TEAMS
8 V, AND ICOC DECISION ON THAT WOULD BE MADE IN AUGUST
9 OF 2014 BASED ON THIS SCHEDULE. SO IF WE LOOK AT
10 THIS CHART, WHICH IS LIKE WHAT I'VE BEEN SHOWING YOU
11 FOR FINANCES, ALTHOUGH THIS IS NOW LOOKING AT THE
12 FULL \$3 BILLION, SO IN THIS CASE EACH COLUMN IS A
13 FISCAL YEAR. THE RED SHOWS THE AMOUNT WE'RE
14 SPENDING ON GRANTS, THE BLUE IS OPERATIONS, AND THE
15 YELLOW IS OTHER EXPENDITURES. A LOT OF THAT HAS TO
16 DO WITH BOND FUNDS, AND THE FIRST COLUMN ON THE LEFT
17 IS ACTUAL EXPENDITURES. THAT'S WHAT WE'VE SPENT SO
18 FAR UP UNTIL THROUGH DECEMBER OF LAST YEAR. AND
19 THEN THE OTHER NUMBERS ARE PROJECTED.

20 SO THAT WOULD INDICATE, THEN, THAT OUR
21 FINAL RFA WOULD GO OUT IN 2014 OR 15. IT'S BEEN
22 TAKING ABOUT UP TO SIX MONTHS FOR THE DISEASE TEAM
23 PROGRAMS TO ACTUALLY GET THROUGH THE PREFUNDING
24 APPLICATION REVIEW PROCESS. SO IF YOU APPROVE IT IN
25 AUGUST, THOSE PROJECTS WOULD START LATE IN THE YEAR

BARRISTERS' REPORTING SERVICE

1 OR EARLY IN THE FOLLOWING YEAR.

2 AND THEN IF THEY WERE FOUR-YEAR PROGRAMS,
3 THEN OUR MONEY WOULD BE FULLY EXPENDED BY FISCAL
4 YEAR -- ACTUALLY JUST IN 2019, EARLY IN THAT FISCAL
5 YEAR 2018-19. SO THAT'S WITH THE CURRENT PROGRAM.

6 SO IF I TAKE THAT INFORMATION AND PLOT IT
7 ON THIS TIME SCALE THAT I SHOWED YOU EARLIER, IT'S
8 INDICATED BY THE RED LINE IS WHEN THAT LAST RFA
9 WOULD BE ISSUED AND DECISION WOULD BE MADE BY THE
10 ICOC. SO AS YOU CAN SEE ON THE TIMELINE, THAT WOULD
11 MEAN THAT FOR DISEASE TEAM I PROJECTS, IF SOME OF
12 THOSE GET THROUGH AN IND, THERE WOULDN'T BE ANY
13 MONEY LEFT. OUR MONEY WOULD HAVE ALREADY BEEN
14 COMMITTED BY THE TIME THEY GET THROUGH THE IND. WE
15 WOULDN'T BE ABLE TO SUPPORT ANY CLINICAL TRIAL WORK
16 FOR THAT PROJECT. OF THESE FOUR THAT ARE SHOWN, THE
17 ONLY ONE THAT WE WOULD BE ABLE TO PROVIDE FINANCIAL
18 SUPPORT FOR WOULD BE THIS CLINICAL DEVELOPMENT ONE,
19 THE SECOND ONE IN THAT ROW THERE.

20 IF, HOWEVER, WE DEVELOPED AN RFA PROGRAM
21 THAT SHIFTED THE FINAL FUNDING OUT TO WHERE THAT
22 DASHED LINE IS, OUT TO 2017, THEN WE WOULD HAVE
23 ENOUGH MONEY TO PICK UP -- WE WOULD BE ABLE TO PICK
24 UP DISEASE TEAM I PROJECTS THAT MADE IT THROUGH THE
25 IND APPROVAL, DISEASE TEAMS II, AND DISEASE TEAM III

BARRISTERS' REPORTING SERVICE

1 PROJECTS IN ADDITION TO THAT CLINICAL DEVELOPMENT
2 ONE.

3 SO HOW DO WE DO THAT? HOW CAN WE PUSH THE
4 LINE OUT? SO I'M JUST PRESENTING THIS. THIS IS
5 JUST AN EXAMPLE. THIS IS FOR YOUR -- JUST TO HELP
6 YOU MAKE YOUR DECISIONS IN THE FUTURE AND HOPEFULLY
7 TO INITIATE SOME DISCUSSIONS, SOME STRATEGIC
8 DISCUSSION.

9 SO WHAT I'VE DONE IS TAKEN THE SAME RFA
10 SCHEDULE THAT I SHOWED YOU BEFORE. I'VE ADDED SOME
11 WHAT WE CALL CLINICAL TRIALS FOLLOW-ON. THESE WOULD
12 BE RFA'S THAT WERE DESIGNED TO PICK UP THOSE DISEASE
13 TEAM PROJECTS THAT GOT TO THE IND PHASE, TO PICK
14 THEM UP AND CARRY THEM THROUGH TO PHASE II. THOSE
15 ARE INDICATED BY THE GREEN LINE. SO THOSE WOULD BE
16 NEW EXPENDITURES.

17 AND THEN IN ORDER TO ALLOW FOR NEW
18 EXPENDITURES, WE HAD TO REDUCE SOME EXPENDITURES.
19 THE BIG ONE THAT IS REDUCED HERE IS DISEASE TEAMS.
20 WE'VE BEEN BUDGETING THAT AT 230 MILLION BASED ON
21 WHAT YOU DID LAST YEAR IN THE PREVIOUS EXAMPLE THAT
22 I SHOWED YOU. IN THIS EXAMPLE I'VE LEFT IT AT 200
23 MILLION FOR THE NEXT ROUND SO WE COULD KIND OF GET
24 ANOTHER BOLUS OF PROJECTS GOING. AFTER THAT, IT
25 WOULD BE REDUCED TO \$120 MILLION PER ROUND, BUT

BARRISTERS' REPORTING SERVICE

1 THERE WOULD BE AN ADDITIONAL ROUND. SO THERE WOULD
2 BE SIX ROUNDS OF DISEASE TEAMS UNDER THIS MODEL
3 INSTEAD OF FIVE.

4 AND THEN WE WOULD REDUCE THE EARLY
5 TRANSLATION A LITTLE BIT AND BASIC BIOLOGY A LITTLE
6 BIT, ABOUT \$5 MILLION FOR BASIC BIOLOGY, AND I THINK
7 IT WAS 15 MILLION FOR THE EARLY TRANSLATION TO ALLOW
8 IT -- TO GAIN THOSE EXTRA DOLLARS THAT WE WOULD NEED
9 FOR THOSE CLINICAL TRIALS.

10 NOW, IF WE DID THAT, IF WE ADOPTED A
11 SCHEME LIKE THIS, A PLAN, AN RFA PLAN, THE LAST RFA
12 THAT WOULD GO OUT WOULD BE THAT CLINICAL TRIAL III.
13 AUGUST OF 2017 WOULD BE WHEN YOU WOULD MAKE A
14 DECISION ABOUT THAT. AND IF THAT WAS A FIVE-YEAR
15 PROGRAM, IT WOULD TERMINATE IN 2022, AND WE WOULD
16 HAVE A SCALE-DOWN OF OUR MONEY THAT LOOKS LIKE THIS.
17 SO THE \$3 BILLION IS SHOWN IN THE GREEN, UPPER LEFT
18 IT WOULD DROP DOWN TO ZERO IN FISCAL YEAR 2022 AND
19 2023.

20 SO THAT'S THE PROGRAM THAT WE HAVE
21 OUTLINED HERE. IT'S AN ALTERNATIVE TO THE PATH
22 WE'RE CURRENTLY HEADING ON. OBVIOUSLY THIS IS NOT
23 AN ACTION ITEM. THIS IS -- AGAIN, THIS IS TO HELP
24 YOU MAKE DECISIONS IN THE FUTURE AND HOPEFULLY TO
25 INITIATE SOME DISCUSSION.

BARRISTERS' REPORTING SERVICE

1 I SHOULD MENTION THAT I'VE ONLY TALKED
2 ABOUT ONE OF THOSE STRATEGIC GOALS, AND THERE ARE
3 TEN OF THEM FOR THE TEN-YEAR GOALS THAT ARE IN THE
4 STRATEGIC PLAN. THE SECOND ONE IS ONE BOB ALLUDED
5 TO A MINUTE AGO, WHICH JUST SAYS CIRM GRANTEES WILL
6 HAVE THERAPIES BASED ON STEM CELL RESEARCH IN PHASE
7 I OR PHASE II CLINICAL TRIALS FOR TWO TO FOUR
8 ADDITIONAL DISEASES. WE THINK THAT SHOULD BE PRETTY
9 EASY FOR US TO DO. IT'S A FAIRLY BROAD
10 CATEGORIZATION. IT INCLUDES ALL KINDS OF RESEARCH,
11 SMALL MOLECULES, AS LONG AS IT INVOLVES STEM CELLS
12 IN THE RESEARCH PROGRAM. IT COULD, HOWEVER,
13 PRODUCE -- THERE COULD BE A LARGE NUMBER OF THESE
14 THAT WOULD COME TO US FOR CLINICAL TRIAL SUPPORT.

15 NOW, THAT'S A DECISION THAT'S GOING TO
16 HAVE TO BE MADE. DO YOU WANT TO BE ABLE TO SUPPORT
17 THOSE WITH \$25 MILLION JUST LIKE WE TALKED ABOUT
18 SUPPORTING THE PLURIPOTENT STEM CELL PROGRAMS? SO
19 IF THERE'S JUST A COUPLE OF THEM THAT YOU WANT TO
20 SUPPORT, THAT'S PROBABLY NOT TOO DIFFICULT TO PULL
21 OUT OF THAT PLAN, PULL THE MONEY OF THAT. IF YOU
22 WANT TO DO 10 OR 20 OF THEM, THAT COULD GET TO BE A
23 LOT OF MONEY.

24 THE OTHER SEVEN, THERE ARE EIGHT THAT ARE
25 IN THERE, MANY OF THOSE WILL RELY, AND I HAVE TO

BARRISTERS' REPORTING SERVICE

1 EMPHASIZE THIS BECAUSE PAT AND I REALLY HAVE BEEN
2 TALKING ABOUT GETTING THINGS TO THE CLINIC, THOSE
3 OTHER EIGHT RELY VERY HEAVILY ON BASIC RESEARCH. SO
4 WE FEEL THAT IT'S ESSENTIAL THAT WE KEEP PUSHING THE
5 BASIC RESEARCH, FUNDING THE BASIC RESEARCH, AND KEEP
6 THOSE FINDINGS COMING FORWARD.

7 SO I THINK THAT I WILL STOP THERE AND BE
8 HAPPY TO FIELD ANY QUESTIONS.

9 CHAIRMAN KLEIN: SO A FUNDAMENTAL
10 QUESTION, DR. ROBSON, IS WHY IS IT THAT YOU DON'T
11 HAVE A SCENARIO THAT ASSUMES ANY MATCHING FUNDS? IF
12 WE LOOK AT OUR DISEASE TEAM APPROVALS TO DATE, I
13 THINK THERE'S A VALIDATION EFFECT OF OUR APPROVAL
14 PER SE. AND WE CAN SEE PFIZER IS PARTICIPATING IN
15 THE JOINT VENTURE ON ADVANCED MACULAR DEGENERATION.

16 DR. ROBSON: OUR ASSUMPTION IS THAT THE
17 MATCHING FUNDS WOULD COME IN DURING THE CLINICAL
18 TRIAL PHASE AND THAT THE 25 MILLION WOULD PROBABLY
19 BE WHAT WOULD BE REQUIRED OF US. THAT'S THE
20 ASSUMPTION WE'VE MADE.

21 CHAIRMAN KLEIN: RIGHT. SO --

22 DR. ROBSON: MATCHING FUNDS WOULD COME
23 FROM OTHER SOURCES.

24 CHAIRMAN KLEIN: I THINK WE CAN SEE SOME
25 EVIDENCE THAT THERE ARE SOME FUNDS AVAILABLE FROM

BARRISTERS' REPORTING SERVICE

1 OTHER SOURCES THAT MIGHT REDUCE OUR CONTRIBUTION ON
2 AN AVERAGE BASIS BELOW 25, ALTHOUGH CERTAINLY 25
3 MIGHT BE NECESSARY IN OTHER CASES. I THINK, DUANE,
4 YOU HAVE A QUESTION. DR. PRIETO.

5 DR. PRIETO: I JUST WONDER IF YOU'VE
6 PROJECTED HOW PROCEEDS FROM OUR CIRM LOAN PROGRAM
7 WOULD AFFECT THESE ASSUMPTIONS.

8 DR. ROBSON: THE LOAN PROGRAM -- WELL, THE
9 LOAN PROGRAM COULD COME INTO PLAY HERE WITH THIS
10 SORT OF SPREAD-OUT PLAN. BUT FOR THE CURRENT PLAN,
11 WHAT I CALLED CURRENT PLAN BASED ON THE FIRST
12 ASSUMPTION, THE LOAN PROGRAM WON'T BE OF MUCH
13 BENEFIT BECAUSE THE FIRST -- WE ONLY HAVE ONE LOAN
14 OUT. THAT LOAN IS NOT DUE TO BE REPAID FOR TEN
15 YEARS. SO WE WILL BE OUT OF MONEY AND WE WOULD BE
16 CLOSED DOWN ACTUALLY BY THEN UNLESS WE GOT NEW MONEY
17 FROM ELSEWHERE.

18 ONE THING I DIDN'T MENTION THAT I PROBABLY
19 SHOULD HAVE IS THAT WE MAY GET SOME MONEY BACK FROM
20 SOME OF THESE PROGRAMS. SOME OF THESE PROGRAMS MAY
21 NOT MEET MILESTONES. THAT'S VERY HARD FOR US TO
22 ESTIMATE HOW MUCH THAT MIGHT BE. CERTAINLY ALL OF
23 THE DISEASE TEAM, ALL THE MILESTONE-BASED PROGRAMS
24 ARE GOING TO GET AT LEAST ONE YEAR OF FUNDING
25 BECAUSE THEY HAVE TO GET OUT THERE AND SEE IF THEY

BARRISTERS' REPORTING SERVICE

1 CAN MAKE THE FIRST MILESTONE. WE DON'T REALLY KNOW
2 HOW MUCH WILL COME BACK.

3 SO WE DID MAKE -- WE MADE SORT OF A NAPKIN
4 ESTIMATE. LET'S SAY THAT EVERYTHING WE PUT OUT FROM
5 THIS POINT FORWARD, \$2 BILLION OR ABOUT 1.9 BILLION,
6 IF 10 PERCENT OF THAT COMES BACK, WE WOULD HAVE
7 ANOTHER \$190 MILLION. SO THAT WOULD BE ENOUGH
8 PERHAPS TO FUND SOME OF THOSE CANDIDATES IN THAT
9 SECOND GOAL, SOME OF THESE OTHER STEM CELL PROGRAMS
10 THROUGH CLINICAL TRIALS, OR IT COULD INCREASE THE
11 SIZE OF A FUTURE DISEASE TEAM PROGRAM OR SOMETHING,
12 BUT IT'S VERY HARD FOR US TO ESTIMATE. WE ACTUALLY
13 BUDGET BASED ON THE ASSUMPTION THAT THESE PROGRAMS
14 ARE GOING TO SUCCEED.

15 DR. HAWGOOD: JOHN, I THINK THIS IS A
16 GREAT SCAFFOLD TO HELP US THINK STRATEGICALLY IN
17 TERMS OF THE FLOWS. MY QUESTION IS KIND OF A
18 TECHNICAL ONE AROUND THE LANGUAGE IN THE PROPOSITION
19 AND OUR ABILITY TO SPREAD IT BEYOND THE TEN-YEAR
20 WINDOW, PARTICULARLY IF WE'RE NOT DEALING WITH LOAN
21 MONEY OR MATCH MONEY, BUT DEALING WITH THE ACTUAL
22 STATE BOND MONEY.

23 DR. ROBSON: THE PROPOSITION, THE BILL
24 THAT -- THE AMENDMENT CREATED CIRM. THERE'S NO END
25 DATE FOR CIRM IN THE CONSTITUTION. WHAT THERE ARE

BARRISTERS' REPORTING SERVICE

1 LIMITS ON IS HOW MUCH MONEY WE CAN RAISE IN A YEAR
2 AND HOW MUCH WE CAN EXPEND IN A YEAR. THERE'S
3 NOTHING THAT PREVENTS US FROM STRETCHING OUT
4 LEGALLY.

5 DR. HAWGOOD: THANK YOU.

6 CHAIRMAN KLEIN: DUANE AND THEN JOAN.

7 MR. ROTH: I AGREE THIS IS VERY USEFUL
8 DATA AND ANALYSIS. BUT THE SUGGESTION I WOULD HAVE,
9 THERE'S REALLY A COUPLE OF SUGGESTIONS HERE, BUT
10 FIRST, THE REVIEW IS GOING TO TAKE PLACE THIS
11 COMING --

12 DR. ROBSON: OCTOBER.

13 MR. ROTH: -- QUARTER, SEPTEMBER, OCTOBER.
14 TAKE THAT IN ADDITION TO THIS DATA, AND ALSO A FIRM
15 BELIEF THAT I HAVE IS THAT THE GOALS THAT ARE
16 ORIGINALLY SET FIVE, SIX YEARS AGO NEED TO
17 CONSTANTLY BE REVIEWED IN LIGHT OF NEW DATA, NEW
18 SCIENCE, NEW INFORMATION AND UPDATED. STAFF KNOWS,
19 AND ALAN AND I HAVE HAD THIS CONVERSATION, I NEVER
20 BELIEVED THAT WE WERE LOCKED IN TO THAT SET OF
21 GOALS. THAT WAS THE ORIGINAL SET OF GOALS, BUT I
22 THINK WE'RE COMING UP ON FIVE YEARS, AND WE OUGHT TO
23 REALLY THINK ABOUT IT IN LIGHT OF THINGS THAT BOB
24 JUST SAID. IF THE SCIENCE IS MOVING FORWARD AND
25 WE'RE DOING THINGS, LET'S RESET THE GOALS. MAYBE

BARRISTERS' REPORTING SERVICE

1 THEY'RE STILL CORRECT AND MAYBE WE SHOULD STAY WITH
2 THOSE, BUT I DIDN'T AND DO NOT BELIEVE WE SHOULD
3 LOCK IN.

4 I THINK IF WE TOOK THESE TWO SETS OF DATA,
5 THE REVIEW, I WOULD HOPE IN THE REVIEW THAT WE COVER
6 THINGS INCLUDING ARE WE BEING EFFICIENT IN THE WAY
7 WE'RE PUTTING GRANTS OUT AND MONITORING AND SO ON,
8 AND HOW CAN WE USE THE LIMITED RESOURCES THAT WE DO
9 HAVE IN A MOST PRODUCTIVE WAY. BUT TAKING ALL THAT
10 INTO CONSIDERATION, THEN I THINK IT'S TIME FOR THIS
11 BOARD TO DO WHAT WE DID ORIGINALLY AND COME TOGETHER
12 AND SPEND SOME TIME TALKING THROUGH THE NEXT FIVE
13 YEARS, AND THAT WOULD BE MY RECOMMENDATION.

14 DR. ROBSON: I THINK THAT IF YOU LOOK AT
15 THE STRATEGIC PLAN, IT OUTLINES THAT THIS EXTERNAL
16 SCIENTIFIC REVIEW SHOULD BE DONE THIS YEAR. AND
17 PART OF THAT IS TO HELP EVALUATE THE STRATEGIC GOALS
18 THAT WERE SET IN 2006.

19 MS. SAMUELSON: THANK YOU FOR THIS. THIS
20 HAS BEEN, I THINK, VERY HELPFUL AND INTERESTING
21 BECAUSE IT IDENTIFIED, I THINK, OTHER CHALLENGES
22 THAT WE HAVE ASIDE FROM FINANCIAL THAT WE NEED TO
23 GET GOING ON. THINGS THAT DUANE WAS TALKING ABOUT
24 WE NEED TO ADDRESS AS SOON AS WE CAN IN ORDER TO
25 WORK AS EFFICIENTLY AND EFFECTIVELY AS WE CAN.

BARRISTERS' REPORTING SERVICE

1 I THINK IF WE ARE DOING THAT, IF WE'RE
2 USING WHATEVER MOST NOVEL, MOST EFFICIENT EFFORTS
3 POSSIBLE, MONEY IS NOT GOING TO BE THE ISSUE. WE'LL
4 HAVE AS MUCH MONEY AS WE COULD WANT BECAUSE WE'LL
5 FIND COLLABORATIONS INTERNATIONALLY. SURELY THERE
6 ARE OTHER RESEARCH TEAMS WHO ARE CONFRONTING THE
7 SAME ISSUES, THAT ARE FACING SOME OF THE SAME
8 OBSTACLES AT THE SAME TIME, AND SO WE WOULD HAVE
9 RETURNS ON THAT FINANCIALLY.

10 IF WE SET OUR CHAIRMAN LOOSE TO GET
11 MATCHING FUNDS TO CONTINUE WORKING AS FAST ON OUR
12 MISSION AS POSSIBLE, I'M SURE HE WOULD BE
13 SUCCESSFUL. NOT ONLY THAT, I THINK IF IT WERE
14 APPARENT THAT WE WERE THE MOST EFFECTIVE ON GETTING
15 THE TRAIN TOWARD CURES TO THE STATION FASTER THAN
16 ANYONE ELSE, WE'LL HAVE PEOPLE FROM ALL OVER THE
17 WORLD BEGGING US TO TAKE THEIR MONEY BECAUSE THAT'S
18 THE REASON IT PASSED WITH SUCH A MANDATE AND IT
19 WOULD HAPPEN AGAIN.

20 DR. ROBSON: MY ONLY CAUTION ON THAT IS
21 THAT, DESPITE THE SPEED IN WHICH STEM CELL SCIENCE
22 IS MOVING, IT'S STILL A VERY NEW RESEARCH FIELD.
23 AND A LOT OF THINGS ARE GOING TO CHANGE. IF YOU GO
24 BACK JUST FOUR YEARS, YOU KNOW, IPS CELLS CAME
25 ALONG. IT COMPLETELY CHANGED THE PARADIGM. THIS

BARRISTERS' REPORTING SERVICE

1 YEAR WE'RE HEARING ABOUT DIRECT DIFFERENTIATION.
2 COULD BE ANOTHER COMPLETE PARADIGM SHIFT. SO IF WE
3 MOVE OUR PROGRAMS TOO FAST, ONE OF THE RISKS IN
4 DOING THAT IS THAT IF THE TECHNOLOGY DEVELOPS, AND
5 IT'S DEVELOPING VERY QUICKLY, WE WON'T BE IN A
6 POSITION TO TAKE ADVANTAGE OF NEW TECHNOLOGIES THAT
7 COME.

8 THE ONE SCENARIO I SHOWED THERE IS FOUR
9 YEARS FROM NOW IS THE LAST GRANT.

10 CHAIRMAN KLEIN: JOHN.

11 MS. SAMUELSON: THANK YOU FOR ADDING THAT.
12 NO ONE KNOWS THAT BETTER THAN I HONESTLY.

13 PARKINSON'S RESEARCHERS WHO ARE BRILLIANT HAVE CURED
14 LOTS OF MICE AND SOME PRIMATES. AND THEY WERE
15 REGARDED FROM TWENTY YEARS AGO AS THE DISEASE THAT
16 WOULD BE, QUOTE, UNQUOTE, CURED FIRST FROM CELLULAR
17 TECHNOLOGY. I THINK MAYBE ALL OF THOSE GOALS ARE
18 AMBITIOUS IN THE SENSE THAT MAYBE THEY DON'T
19 ANTICIPATE AS MANY HURDLES AS THEY'RE ACTUALLY GOING
20 TO HAVE, BUT I THINK IT'S NOT ABOUT WORKING FAST.
21 IT'S ABOUT WORKING WITH A SENSE OF URGENCY EVERY DAY
22 THAT THEN INFORMS VERY CAREFUL, VERY EFFICIENT WAYS
23 OF TACKLING BIG PROBLEMS.

24 CHAIRMAN KLEIN: I THINK IT'S VERY
25 IMPORTANT THAT WE NOT CONFUSE RESEARCH THAT MAY

BARRISTERS' REPORTING SERVICE

1 CHANGE CELL TYPES WITH PROOF OF CONCEPT. IF WE CAN
2 GET THROUGH PHASE II A OR II B WITH PROOF OF
3 CONCEPT, EVEN THOUGH THEN THERE'S A PARADIGM SHIFT
4 IN THE TYPE OF CELL YOU ARE GOING TO USE FOR THE
5 BEST DELIVERY OF THAT THERAPY THAT WENT THROUGH
6 PROOF OF CONCEPT, THE PUBLIC IS GOING TO UNDERSTAND
7 THE CONTRIBUTION TO KNOWLEDGE GENERATED BY GETTING
8 TO PROOF OF CONCEPT. AND IF WE HAVE A BROADER
9 PORTFOLIO EARLY, WE HAVE A BETTER CHANCE, BASED ON
10 HISTORICAL DATA, OF GETTING SOME PROOF OF CONCEPT
11 AND SOME KNOWLEDGE GAINS THAT CONTRIBUTE BROADLY TO
12 THE FIELD AND WILL CONTRIBUTE TO POTENTIALLY GOING
13 BACK TO THE PUBLIC FOUR YEARS FROM NOW FOR
14 ADDITIONAL FUNDS.

15 BECAUSE AT THE LEVEL OF CONTRIBUTION I SEE
16 THIS AGENCY MAKING ACROSS A BROAD SPECTRUM IN
17 KNOWLEDGE AND THE ADVANCEMENT, I'M ON THE OPTIMISTIC
18 SIDE OF BELIEVING WE'LL HAVE SOME PROOF OF CONCEPT
19 TRIALS UNDER WAY, MAY NOT BE CONCLUDED, BUT UNDER
20 WAY, BUT I THINK, AS JOAN HAS SUGGESTED, THAT WE
21 HAVE A VERY GOOD CHANCE OF THE PUBLIC RECOMMITTING
22 TO THIS MISSION BECAUSE WE WILL HAVE DEMONSTRATED
23 TREMENDOUS PROGRESS.

24 I THINK IT'S IMPORTANT TO NOTE THAT EVEN
25 IF STUDYING STEM CELL RESEARCH OR DISEASE MODELS

BARRISTERS' REPORTING SERVICE

1 DERIVED FROM STEM CELL RESEARCH LEAD TO SMALL
2 MOLECULE THERAPIES, THAT'S GOING TO BE A
3 CONTRIBUTION THAT THE PUBLIC WILL UNDERSTAND AND
4 WILL REWARD THIS AGENCY FOR ACHIEVING. IT DOESN'T
5 HAVE TO BE NECESSARILY PLURIPOTENT. THE INITIATIVE
6 ACTUALLY USES THE WORDS "PLURIPOTENT OR PROGENITOR
7 STEM CELL RESEARCH" AS THE GOAL TARGET BECAUSE I
8 DIDN'T WANT TO NECESSARILY -- THERE WAS NO IDEOLOGY
9 IN ANTICIPATING THAT IT WOULD ULTIMATELY HAVE TO BE
10 PLURIPOTENT.

11 MS. SAMUELSON: I WOULD BE THRILLED WITH A
12 CURE THAT DIDN'T INVOLVE PLURIPOTENT STEM CELLS AT
13 ALL.

14 CHAIRMAN KLEIN: IT COULD BE ANYTHING IN
15 THE SPECTRUM, BUT SMALL MOLECULE REWARDS FROM
16 STUDYING STEM CELL DISEASE MODELS WOULD ALSO BE A
17 TREMENDOUS REWARD THAT THE PUBLIC IS GOING TO
18 RECOGNIZE. SO I WOULD SUGGEST THAT HAVING A BROADER
19 PORTFOLIO EARLIER AND FOCUSING ON MATCHING FUNDS AND
20 FOCUSING ON OTHER COLLABORATIVE SOURCES OF FUNDING
21 BRINGING IN MONEY SO WE CAN MEET THAT TIMETABLE, BUT
22 BUILD OURSELVES SOME ADDITIONAL CAPACITY WOULD GO TO
23 ACCOMPLISHING BOTH OF YOUR GOALS.

24 DR. TROUNSON: I THINK WHAT WE'RE TRYING
25 TO FOCUS ON IS THE NEED TO BE ABLE TO HAVE FUNDING

BARRISTERS' REPORTING SERVICE

1 AVAILABLE WHEN SOME OF THESE TEAMS GET TO THE IND.
2 AND I DO THINK A LOT OF THEM ARE GOING TO TAKE THREE
3 YEARS, FOUR YEARS TO GET THERE. SO AT THE MOMENT
4 THERE IS NO INVESTMENT MONEY OUT THERE, AND REALLY
5 THE INVESTORS NOR THE PHARMACEUTICAL INDUSTRY IS NOT
6 COMING IN UNTIL PROOF OF CONCEPT, WHICH IS AT THE
7 END OF PHASE II, WHICH IS WHERE WE NEED TO TAKE IT.

8 IT WOULD BE A REALLY SHAME IF WE LEFT A
9 LOT OF THE CLINICAL TRIALS AT THE IND OR PRE-IND
10 STAGE WITH NO OPPORTUNITY FOR FUNDING. SO I JUST
11 THINK WE OUGHT TO BE REFLECTIVE ON THAT, THAT THE
12 VENTURE CAPITAL AND THE PHARMACEUTICAL INDUSTRY MAY
13 NOT CONNECT BEFORE THE PROOF OF CONCEPT. THEY MAY
14 GET INVOLVED IN A SMALL WAY OR THEY MAY HELP WHEN WE
15 DEMAND THAT THEY DO EQUIVALENT FUNDING IN THE
16 CLINICAL TRIALS. THEY MIGHT DO THAT. BUT I THINK
17 CURRENTLY IT'S REALLY A VERY BARREN AREA.

18 SO I WANT TO BE SURE THAT WE'RE NOT SORT
19 OF LEFT AT THE GATEWAY, THAT WE DON'T LEAVE
20 OURSELVES AT THE GATEWAY WITHOUT BEING ABLE TO
21 COMPLETE THE TASK AS SET OUT. NOW, I THINK YOU ALSO
22 NEED TO REMEMBER THE REACTION OF THE BOARD TO THE
23 *NEW YORK TIMES* WHEN THEY SAID WE'RE DOING ALL ADULT
24 CELLS, WHICH WE WEREN'T. THERE IS A NOTION HERE
25 THAT THIS WAS SET UP TO SORT OF GET THE TASK

BARRISTERS' REPORTING SERVICE

1 COMPLETED FOR EMBRYONIC STEM CELLS. I THINK THERE'S
2 STILL A STRONG NOTION OUT THERE IN THE COMMUNITY.

3 SO IF WE'RE GOING TO CHANGE IT, I THINK WE
4 NEED TO BE SURE THAT WE'VE GOT THE COMMUNITY SUPPORT
5 BEHIND US IN THAT RESPECT. SO CHANGING THE GOAL, I
6 THINK WE NEED TO BE CAREFUL AND WE NEED TO GET OUR
7 MESSAGES VERY MUCH IN THE RIGHT VEIN TO DO THAT.
8 AND I THINK IT COULD BE HELPED, AS DUANE SAID, BY
9 THIS REVIEW COMING UP. AND SOME OF THE QUESTIONS IN
10 OUR MINDS WERE ABOUT THESE THINGS, SO WE WANTED TO
11 BE PREPARED FOR THE REVIEWERS TO ASK US, WELL, WHAT
12 DO YOU THINK YOU CAN ACCOMPLISH AND WHAT'S YOUR
13 STRATEGIC NOTION WHEN IT COMES TO BE ABLE TO BE
14 ENABLING BECAUSE THEY'LL BE JUDGING US ON THE
15 CURRENT FIVE- AND TEN-YEAR GOALS. SO WE REALLY
16 WANTED TO BE ABLE TO ANSWER THOSE QUESTIONS.

17 AND SO THERE WILL BE VIEWS THAT COME FROM
18 THAT EXTERNAL REVIEW IN THIS AREA STRONGLY, BUT I DO
19 THINK IT'S WORTH THE BOARD THINKING ABOUT TAKING THE
20 TIME TO DISCUSS THIS AS A SORT OF LARGE SUBJECT OVER
21 A DAY BECAUSE I THINK I WOULD NORMALLY SEE US MOVING
22 INTO WHAT I THINK IS PHASE II. WE'VE INITIATED
23 THESE PROGRAMS VERY EFFECTIVELY UNDER YOUR
24 CHAIRMANSHIP, BOB, BUT I THINK THERE'S A STRONG
25 FEELING THAT WE'RE MOVING INTO THE DELIVERY OF THOSE

BARRISTERS' REPORTING SERVICE

1 ITEMS WHICH YOU'VE NOW GOT TO DO. STARTED GREAT,
2 BUT NOW WE'VE GOT TO DELIVER IT.

3 SO I THINK SOME JUDGMENT WILL BE ON US
4 ABOUT THE DELIVERY, SO THE MESSAGE OF WHAT WE'RE
5 DELIVERING IS PRETTY CLEAR AT THE MOMENT. SO WE
6 NEED TO BE STRATEGIC IN ENABLING US TO GET THERE.
7 BUT THAT'S WHY I THINK IT'S WORTH A GOOD DEBATE,
8 GOOD CONVERSATION TO KNOW EXACTLY WHAT WE SHOULD DO.
9 AND MAYBE AFTER THAT EXTERNAL REVIEW WOULD BE THE
10 APPROPRIATE TIME.

11 CHAIRMAN KLEIN: MR. SHEEHY.

12 MR. SHEEHY: WELL, I AGREE THIS IS A GOOD
13 DISCUSSION TO HAVE, AND I DO HOPE WE HAVE A MORE
14 FORMAL DELIBERATIVE PROCESS IN WHICH TO DO IT AND
15 THAT WE'RE PRIVY TO A LOT OF SOURCES OF INFORMATION.
16 SO THAT AS THE EXTERNAL REVIEW IS DISCUSSING THIS,
17 WE'RE NOT PRESENTED WITH THE PACKAGE OF DIGESTED
18 RECOMMENDATIONS, BUT WE CAN HEAR THE FULL SPECTRUM
19 OF VIEWS THAT ARE EXPRESSED WITHIN THAT REVIEW SO
20 THAT -- I DON'T WANT THE CONSENSUS VIEW. I WANT TO
21 HEAR THE OUTLIERS AS WELL.

22 I DO SHARE, THOUGH, BOB AND JOAN'S OPINION
23 THAT, FIRST OF ALL, THE NIH IS GOING TO -- IF WE
24 SHOW UP WITH IND'S, THE NIH SHOULD BE FILLING A LOT
25 OF THIS PHASE I, PHASE II CLINICAL TRIAL SPACE.

BARRISTERS' REPORTING SERVICE

1 PLUS, FOR OUR REALLY SUCCESSFUL PROJECTS, WE WILL BE
2 ROLLING THEM OVER -- I MEAN WE'RE GOING TO HAVE TWO
3 DYNAMICS GOING ON WITH THE DISEASE TEAMS. THE ONES
4 THAT ARE REALLY WILDLY SUCCESSFUL, AS WE PROPOSED,
5 WE'LL ALLOW THEM TO TAKE PART OF THEIR FUNDING AND
6 ROLL INTO PHASE I. WE WILL BE ALREADY SUBSIDIZING
7 THEM WITH PART OF THE MONEY WE ALLOCATED FOR THEIR
8 DISEASE TEAM.

9 ON THE OTHER HAND, FOR THOSE THAT ARE
10 UNSUCCESSFUL, WE'RE GOING TO BE CUTTING THEM OFF, SO
11 THAT MONEY IS GOING TO COME BACK TO US.

12 I ALSO NOTE IN OUR PROJECTIONS WE ASSUME
13 FULL FUNDING, BUT WE HAVEN'T GENERALLY BEEN FULLY
14 FUNDING ANY GRANT ROUND. AND BASED EVEN ON THE
15 CONCEPTS THAT WE'VE APPROVED SO FAR, BASED ON EITHER
16 TOOLS OR TECHNOLOGY OR EARLY TRANSLATIONAL II, WE'RE
17 NOT GOING TO FUND THAT IF YOU LOOK AT THE NUMBER OF
18 APPLICATIONS THAT ARE GOING TO BE SUBMITTED RELATIVE
19 TO WHAT HISTORICALLY THE REVIEW GROUP AND OUR BODY
20 WILL APPROVE, WHICH IS NEVER MORE THAN 30 PERCENT OF
21 THOSE. SO I THINK BOTH OF THOSE NUMBERS ARE GOING
22 TO COME IN LOWER.

23 THE OTHER THING IS JUST TO DO THE NUMBERS
24 GAME, AND WHAT SPACE DO WE REALLY OCCUPY
25 SUCCESSFULLY. NOW, MY UNDERSTANDING IS THIS EARLY

BARRISTERS' REPORTING SERVICE

1 TRANSLATIONAL AND THIS DISEASE TEAM MODALITY, THE
2 SPACE THAT WE HAVE FILLED, AND I THINK DR. OLSON IS
3 GONE, BUT I THOUGHT SHE WAS VERY COMPELLING IN HER
4 DESCRIPTION OF ALL THE TYPES OF ACTIVITIES THAT
5 WE'RE FUNDING IN THIS SPACE THAT MOST PEOPLE DON'T
6 FUND SO COMPLETELY, THIS MAY BE THE UNIQUE SPACE FOR
7 US. I'M NOT SURE THAT PHASE I, PHASE II CLINICAL
8 TRIALS, THAT WE NEED TO BE PUTTING AWAY MONEY
9 NECESSARILY FOR THAT WHEN WE SEEM TO BE DOING --
10 UNTIL WE KNOW IF WE CAN DO THAT WELL, I THINK WE'RE
11 GOING TO -- WE SEEM TO BE DOING THIS WELL, AND I
12 THINK WHAT WE NEED TO DO IS HAVE AN AMPLE AMOUNT OF
13 IND'S.

14 AND I PERSONALLY BELIEVE, ESPECIALLY IF WE
15 START CREATING THE RIGHT TYPES OF ECONOMIC ANALYSES,
16 LIKE WHAT HAS REALLY BEEN THE IMPACT OF THE 1.3
17 BILLION THAT WE HAVE PUT INTO THE ECONOMY? IF YOU
18 TAKE THE 500 MILLION THAT WE'VE ACTUALLY SPENT TO
19 DATE AND THE 800 MILLION IN MATCHING FUNDS FOR
20 FACILITIES, I THINK THE ECONOMIC IMPACT OF THAT IS
21 ENORMOUS. I THINK THIS IS A MAJOR ECONOMIC ENGINE
22 IN THE STATE.

23 WE WERE CRITICIZED FOR IPERIAN GETTING A
24 GRANT, BUT IPERIAN, WHICH WAS STARTED BY HARVARD
25 RESEARCHERS, IS HERE, NOT IN MASSACHUSETTS BECAUSE

BARRISTERS' REPORTING SERVICE

1 WE HAVE MONEY. THOSE ARE JOBS IN THE BAY AREA THAT
2 WOULDN'T BE HERE WITHOUT CIRM. SO THE ECONOMIC
3 IMPACT OF THIS AGENCY, THE SOPHISTICATION OF THE
4 ADVOCACY COMMUNITY, I THINK, WILL BE SUPPORTIVE
5 IF -- IF WE SAY WE'VE GOT PLENTY OF MONEY TO GO ON
6 FOREVER, IT'S HARD TO TALK TO THE VOTERS ABOUT
7 REFUNDING US. HOWEVER, IF WE'RE MAKING -- IF WE
8 HAVE A BASKET OF IND'S QUEUED UP READY TO GO INTO
9 CLINICAL TRIAL AND WE SAY LET'S GO, AND THIS IS WHAT
10 WE'VE ACCOMPLISHED IN TERMS OF NEW COMPANIES, IN
11 TERMS OF FACILITIES, IN TERMS OF INVESTIGATORS WHO
12 ARE WORKING, IN TERMS OF THE RESEARCH CAPACITY THAT
13 WE'RE BUILDING AT UNIVERSITIES ACROSS THIS STATE, I
14 THINK WE HAVE A GOOD ARGUMENT. THIS ECONOMY IS NOT
15 GOING TO BE LIKE IT IS RIGHT NOW FIVE YEARS FROM NOW
16 OR FOUR YEARS FROM NOW.

17 DR. BRODY: I DON'T KNOW WHETHER IT'S BEEN
18 DISCUSSED BEFORE, BUT IN THE HEALTHCARE BILL THE
19 OBAMA ADMINISTRATION PASSED WAS A RIDER INTRODUCED
20 BY SENATOR SPECTER CALLED THE CURES ACCELERATION
21 NETWORK WHICH WILL PROVIDE UP TO \$500 MILLION FOR
22 MOVING THINGS ACROSS THE VALUE VALLEY OF DEATH.
23 IT'S UNCLEAR YET HOW THE NIH IS GOING TO IMPLEMENT
24 THIS. ALTHOUGH IT'S AUTHORIZED, THE BUDGET HASN'T
25 BEEN APPROVED. BUT MY GUESS IS THAT THIS WOULD

BARRISTERS' REPORTING SERVICE

1 BE -- THESE WOULD BE THE KIND OF FUNDS THAT WOULD
2 HELP US DEAL WITH THE PHASE I AND PHASE II AND EVEN
3 SOME OF THE PRE-PHASE I WORK.

4 CHAIRMAN KLEIN: THAT'S EXACTLY RIGHT. IT
5 HAS AN INDEPENDENT BOARD THAT REPORTS TO THE
6 SECRETARY OF HEALTH AND HUMAN SERVICES INSTEAD OF
7 THE NIH FORMALLY. AND SENATOR TORRES AND I HAVE
8 BEEN DISCUSSING THIS AS WELL AS DUANE ROTH IN THERE
9 HAVE BEEN OUTREACH TO MEMBERS OF THE WHITE HOUSE TO
10 DEAL WITH THE QUESTION OF WHAT POLICY DIRECTION
11 THEY'RE GOING TO TAKE AS WELL AS TO THE SENIOR
12 LEADERSHIP ON THE COMMITTEES IN THE HOUSE AND THE
13 SENATE THAT ARE INVOLVED. IT'S A VERY -- IT'S
14 AUTHORIZED. AS YOU SAY, THERE'S NO FUNDING YET, SO
15 THE POLITICS OF THE FUNDING ARE VERY IMPORTANT.

16 I EXPECT IN THE NEXT YEAR OR SO THE VICE
17 CHAIRS MIGHT FIND THEMSELVES DEEPLY INVOLVED IN THAT
18 PROCESS, BUT IT'S DEFINITELY A SOURCE OF LEVERAGE.
19 AND I THINK WE'VE ESTABLISHED A TREMENDOUS
20 REPUTATION THROUGH THE WORK OF THE BOARD, THE WORK
21 OF DR. TROUNSON AND SCIENTIFIC STAFF FOR EFFECTIVELY
22 MOVING THIS FIELD FORWARD. CERTAINLY IT'S BROADER
23 THAN STEM CELL RESEARCH, BUT I THINK WE WOULD BE
24 WELL POSITIONED TO GET A SUBSTANTIAL SHARE OF THAT
25 CONTRIBUTED TO THE PHASE I, PHASE II A, II B AREA.

BARRISTERS' REPORTING SERVICE

1 MR. TORRES: ON THAT POINT TO DR. BRODY,
2 ALSO THE MEMBERSHIP GROUP IS GOING TO BE VERY
3 CRITICAL TO US.

4 DR. REED: I JUST WANTED TO FOLLOW UP ON
5 JEFF SHEEHY'S COMMENT ABOUT REALLY EMPHASIZING THE
6 ECONOMIC IMPACT OF CIRM-SPONSORED RESEARCH. I DON'T
7 KNOW WHAT STATISTICS WE'RE ALREADY KEEPING ON THIS,
8 BUT I THINK IT'S AN AREA THAT WE CERTAINLY SHOULD
9 EXAMINE. AND I SUSPECT WE'LL HAVE MUCH TO TOUT AS
10 THE DATA COME IN. I SUSPECT MANY OF THESE CIRM
11 GRANTS WILL ACT AS SPRINGBOARDS, FOR EXAMPLE, TO
12 OTHER GRANTS, PARTICULARLY FROM THE NATIONAL
13 INSTITUTES OF HEALTH. AND THAT'S AN AREA WHERE WE
14 CERTAINLY HAVE AN OPPORTUNITY TO SEE MORE OF OUR
15 FEDERAL DOLLARS COMING BACK TO THE STATE OF
16 CALIFORNIA.

17 THE GOVERNOR HAS COMMENTED ABOUT HOW I
18 THINK IT'S ONLY ABOUT 80 CENTS ON EVERY DOLLAR THAT
19 CALIFORNIANS SEND TO WASHINGTON ACTUALLY COMES BACK
20 TO OUR STATE. ONE OF THE AREAS, THOUGH, THAT WE DO
21 EXCEL IN AS A STATE BECAUSE OF OUR STRONG SCIENCE IS
22 NIH, AND WE RECEIVE THE MOST OF THOSE GRANTS OF ANY
23 STATE IN THE COUNTRY. I BELIEVE THIS FUNDING THAT
24 WE'RE GIVING OUT IS GOING TO LEAD TO MANY
25 OPPORTUNITIES THAT CAN CATALYZE BRINGING FURTHER

BARRISTERS' REPORTING SERVICE

1 FEDERAL DOLLARS IN AS WELL AS, AS THINGS PROGRESS TO
2 CLINICAL STAGE, ATTRACTING INVESTMENT DOLLARS,
3 DOLLARS FROM MULTINATIONAL PHARMACEUTICAL COMPANIES
4 AND OTHERS THAT WILL SUPPORT CLINICAL TRIALS AND
5 BRING YET OTHER ECONOMIC BENEFITS TO THE STATE.

6 SO I'M SURE THERE'S GOING TO BE AN
7 ENORMOUS POSITIVE ECONOMIC IMPACT OF THE DOLLARS
8 THAT WE'RE CURRENTLY INVESTING IN THIS TECHNOLOGY.
9 AND WHATEVER WE CAN DO TO TRY TO CAPTURE DATA ABOUT
10 THAT TO BE ABLE TO MAKE THAT CASE I THINK WILL HELP
11 TO SUPPORT ARGUMENTS DOWN THE ROAD THAT THIS SORT OF
12 ACTIVITY COULD BE WORTH CONTINUING THE FUND AFTER
13 THE NEXT FOUR-YEAR PERIOD IS COMPLETED.

14 DR. ROBSON: I AGREE WITH YOU COMPLETELY.
15 WE HAVE AN ECONOMIC IMPACT STUDY ONGOING. I'D HOPED
16 TO HAVE IT COMPLETED BY NOW. IT'S BEEN DELAYED A
17 LITTLE BIT, BUT WE SHOULD HAVE THAT FOR YOU FAIRLY
18 SOON. AND WE'VE BEEN LOOKING AT NOT JUST THE --
19 WE'VE BEEN LOOKING AT BOTH THE ECONOMIC IMPACT OF
20 THE COMMITTED MONIES AND WHAT THAT WILL BE AND ALSO
21 THE HEALTH BENEFITS. WE HAVE A TEST CASE THAT WE'RE
22 LOOKING AT ON THE HEALTH BENEFITS, THE SAVINGS TO
23 THE STATE BASED ON THAT.

24 THE OTHER POINT THAT YOU RAISED ABOUT
25 COLLECTING WHAT ESSENTIALLY IS INFORMATION ABOUT HOW

BARRISTERS' REPORTING SERVICE

1 OUR MONEY IS LEVERAGED IS A VERY IMPORTANT ONE. AND
2 WE'VE BEEN -- WHEN WE'VE BEEN GOING ON SITE VISITS
3 TO LOOK AT THE NEW FACILITIES WHEN THEY'RE GETTING
4 READY TO OPEN OR WHEN WE HAVE MEETINGS,
5 CONVERSATIONS WITH THE HEADS OF THE VARIOUS STEM
6 CELL INSTITUTES, I'VE BEEN TALKING TO PEOPLE ABOUT
7 THIS ALL THE TIME, THAT WE NEED THEIR HELP TO TRY TO
8 IDENTIFY THAT LEVERAGED MONEY. IF SOMEONE COMES AND
9 WORKS IN A NEW FACILITY, THEY GO GET AN NIH GRANT
10 BECAUSE THEY HAVE ACCESS TO THAT FACILITY, WE NEED
11 TO CAPTURE THOSE DOLLARS AND FIND OUT ABOUT THEM.

12 SO WE ARE WORKING WITH THE INSTITUTIONS
13 AROUND THE STATE TO TRY TO FIND WAYS TO COLLECT AS
14 MUCH OF THAT INFORMATION AS POSSIBLE.

15 CHAIRMAN KLEIN: SO, DR. TROUNSON, I THINK
16 WE SHOULD PARCEL THAT INTO TWO PACKAGES. ONE, WE
17 HAVE A NARROW FOCUSED ECONOMIC ANALYSIS THAT'S
18 FOCUSING ON JUST AN INITIAL SMALL MOLECULE TRIAL,
19 BUT WE ARE MONTHS AWAY FROM HAVING THE INFORMATION
20 ON THE GENERAL ECONOMIC IMPACT. THAT'S A SECOND
21 PART OF THIS THAT'S REALLY DOWNSTREAM. HOPEFULLY WE
22 COULD MOVE IT ALONG ON A TIMETABLE THAT WOULD BE
23 AVAILABLE FOR THE STRATEGIC REVIEW.

24 DR. ROBSON: I'M HOPING MONTHS IS AN
25 OVERESTIMATE. I'D LIKE TO TAKE THE S OFF OF THAT.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN KLEIN: NORMALLY I'M ON THE
2 CONSERVATIVE SIDE.

3 MR. ROTH: I WANT TO FOLLOW JOHN REED'S
4 COMMENT UP BECAUSE WE BROUGHT THIS UP PREVIOUSLY.
5 ALSO IN THOSE CALCULATIONS, AND IT SHOULDN'T BE SORT
6 OF AD HOC, I THINK WE HAVE TO FORMALIZE HOW WE GET
7 THIS INFORMATION.

8 DR. ROBSON: IT'S DIFFICULT INFORMATION TO
9 COLLECT, CAN BE.

10 MR. ROTH: ALMOST A REQUIREMENT FROM THE
11 GRANT RECIPIENTS THAT THEY DO SEND US A REPORT. AND
12 IF WE NEED TO, WE SHOULD BUILD THAT IN. BUT VENTURE
13 CAPITAL AND FOLLOW-ON PHARMACEUTICAL INVESTMENT
14 SHOULD EQUALLY COUNT THERE. IF THIS ENABLES THAT,
15 IT WOULDN'T HAVE HAPPENED WITHOUT THIS EARLY MONEY.
16 THAT WAS THE WHOLE POINT OF DOING THIS.

17 DR. ROBSON: PHILANTHROPY AS WELL. THEY
18 SHOULD ALL BE CAPTURED. SO I THINK THE HEADS OF THE
19 STEM CELL GROUPS AROUND THE STATE ARE GOING TO BE
20 VERY INSTRUMENTAL IN HELPING US COLLECT THESE DATA.

21 CHAIRMAN KLEIN: I THINK YOU ARE GOING TO
22 SEE SOME ANNOUNCEMENTS IN THE NEXT 90 DAYS, MAYBE
23 SOONER, ABOUT SOME VENTURE CAPITAL MOVEMENT IN THIS
24 SPACE, WHICH IS ENCOURAGING. BUT WE REALLY DO HAVE
25 TO WORK ON MATCHING FUNDS AND LEVERAGE. AS DR.

BARRISTERS' REPORTING SERVICE

1 BRODY SAYS, I THINK THERE'S SOME EXCELLENT
2 OPPORTUNITIES TO INTERFACE WITH SOME NEW FEDERAL
3 INITIATIVES. AS MR. SHEEHY HAS SAID, THERE'S SOME
4 TREMENDOUS OPPORTUNITY TO INTERFACE WITH THE NIH ON
5 FUNDING PART OF THESE TRIALS. AND WE KNOW SOME OF
6 THE LEADERSHIP THERE WANTS TO DO THIS AND LOOKS TO
7 CALIFORNIA. THEY'VE BLATANTLY TOLD US CALIFORNIA IS
8 IN THE LEAD; WE WANT TO WORK WITH YOU.

9 SO WE HAVE A LOT OF OPPORTUNITIES HERE,
10 BUT IT'S A GOOD COLD-WATER REALITY CHECK TO LOOK AT
11 THE OPTIONS. AND SO I THINK WE THANK YOU FOR THAT.

12 ARE THERE SOME OTHER COMMENTS AT THIS
13 POINT? ARE THERE COMMENTS FROM THE PUBLIC?

14 MS. SAMUELSON: I WOULD HOPE THAT WE HAVE
15 TIME IN THE AGENDA OF OUR MEETINGS OVER THE NEXT SIX
16 MONTHS TO REALLY ROLL OUR SHIRT SLEEVES UP ABOUT
17 THIS. THERE ARE SO MANY DIFFERENT AREAS TO COVER
18 AND FOCUS ON AND LOTS OF ISSUES PRESENTED, AND I
19 DON'T KNOW HOW WE WILL DO IT WITHOUT SOME UNIMPEDED
20 TIME HERE AND THERE.

21 CHAIRMAN KLEIN: I'LL MAKE SURE WE
22 SCHEDULE THAT. AND I SHOULD SAY TOO THAT WE PASSED
23 THROUGH THE BUDGET DISCUSSION AND THESE DISCUSSIONS,
24 BUT AT THE FOUNDATION OF THE INFORMATION AND THE
25 AGENCY WE HAVE BOTH MARGARET AND CHILA WHO DO

BARRISTERS' REPORTING SERVICE

1 TREMENDOUS AMOUNT OF WORK TO GET US TO GOOD NUMBERS,
2 AND WE SHOULD HAVE STOPPED ALONG THE WAY ON THE
3 BUDGET DISCUSSIONS TO THANK THEM FOR THEIR
4 TREMENDOUS CONTRIBUTION.

5 (APPLAUSE.)

6 CHAIRMAN KLEIN: ADDITIONAL COMMENTS
7 EITHER ON THE LINE OR ANY COMMENTS ON THE LINE? ALL
8 RIGHT.

9 MR. TORRES: MELISSA HAS A STATEMENT TO
10 READ.

11 CHAIRMAN KLEIN: MELISSA. LET ME TALK
12 LOGISTICS HERE FOR A MOMENT. BECAUSE THERE'S SOME
13 DIFFICULT PLANES, THE PLANE SCHEDULES FOR SAN
14 FRANCISCO ARE BACKED UP AND PEOPLE ARE HAVING TO
15 CHANGE THEIR PLANES, I THINK WE'VE GONE THROUGH THE
16 AGENDA. WE HAVE A VOTE THAT IS FOR OUR OPEN ROLL
17 CALL THAT'S IN PROGRESS. I'M GOING TO KEEP THE
18 BOARD MEETING OPEN, AND I WILL BE HERE FOR SOME TIME
19 WAITING FOR THAT INDIVIDUAL, COUNSEL WILL BE HERE,
20 SENIOR STAFF WILL BE HERE. BUT FOR THOSE MEMBERS
21 THAT NEED TO LEAVE BECAUSE OF THE TRANSPORTATION
22 ISSUES, WE'VE COVERED OUR AGENDA. WE ARE
23 TREMENDOUSLY APPRECIATIVE OF THE BOARD MEMBERS WHO
24 MADE SPECIAL EFFORT TO BE HERE.

25 WHEN THE LATE VOTES ARRIVE, I PROMISE TO

BARRISTERS' REPORTING SERVICE

1 TAKE THEM TO LUNCH AFTER THEY'VE VOTED AND CLOSE IT.
2 AND IF I PAY FOR LUNCH, I PROMISE IT WILL BE NOT
3 ENOUGH MONEY TO INFLUENCE THEIR VOTE.

4 MS. KING: THIS IS A STATEMENT FROM JOHN
5 SIMPSON FROM CONSUMER WATCHDOG, WHO, AS MANY OF YOU
6 KNOW, ATTENDS QUITE A FEW OF OUR MEETINGS, BUT WAS
7 UNABLE TO ATTEND TODAY ANY OF OUR LOCATIONS, AND HE
8 ASKED THAT THIS BE READ DURING THE MEETING.

9 "I APOLOGIZE THAT I WAS UNABLE TO ATTEND
10 TODAY'S ICOC MEETING. I APPRECIATE THIS STATEMENT
11 BEING READ INTO THE RECORD ON MY BEHALF.

12 "WHEN CONSUMER WATCHDOG BEGAN ITS STEM
13 CELL PROJECT ALMOST FIVE YEARS AGO, I NAIVELY
14 EXPRESSED CONCERNS THAT THE PROGRAM WOULD BE
15 HIJACKED BY THE BIOTECH INDUSTRY. THAT HAS AT LEAST
16 SO FAR NOT HAPPENED. RATHER, IT HAS BEEN DOMINATED
17 BY ACADEMIC RESEARCH INSTITUTIONS WHOSE
18 REPRESENTATIVES HOLD THE LARGEST NUMBER OF SEATS ON
19 THE BOARD.

20 "I BELIEVE THE TREND IS TROUBLING ENOUGH
21 TO ASK WHETHER THE PLAYING FIELD IS LEVEL FOR ALL
22 APPLICANTS. I BELIEVE THERE ARE GROUNDS FOR
23 CONCERN.

24 "HERE ARE SOME SUGGESTIONS TO IMPROVE THE
25 SITUATION. A TASK FORCE SHOULD BE CONVENED TO

BARRISTERS' REPORTING SERVICE

1 CONSIDER WHY COMPANIES HAVE FARED SO POORLY AND WHAT
2 SHOULD BE DONE. ALL SESSIONS MUST BE PUBLIC.

3 "A WORKSHOP SHOULD BE SCHEDULED WITH
4 INTERESTED COMPANIES TO DISCUSS WAYS TO IMPROVE
5 THEIR APPLICATIONS. IT MUST BE OPEN TO THE PUBLIC.

6 "AN EFFORT SHOULD BE MADE TO RECRUIT
7 SCIENTIFIC REVIEWERS WITH SUBSTANTIAL EXPERIENCE IN
8 RESEARCH PROGRAMS CONDUCTED BY BUSINESSES.

9 "CIRM MEETINGS THAT CURRENTLY INCLUDE ONLY
10 GRANTEES SHOULD BE EXPANDED TO INCLUDE ALL
11 LEGITIMATELY INTERESTED PARTIES. CURRENTLY YOU HAVE
12 AN ANNUAL CONFERENCE FOR ALL GRANTEES. THIS MUST BE
13 OPEN TO INCLUDE ALL GRANT AND LOAN APPLICANTS EVEN
14 IF THEY WERE UNSUCCESSFUL. IF THERE IS A CONCERN
15 ABOUT EXPENSES, UNSUCCESSFUL APPLICANTS COULD BE
16 CHARGED A MODEST FEE. WHAT BETTER VENUE TO LEARN
17 WHAT MAKES A SUCCESSFUL APPLICATION THAN A
18 CONFERENCE THAT INCLUDES CIRM'S AWARDEES?

19 "IT WOULD ALSO CREATE OPPORTUNITIES FOR
20 DEVELOPING COLLABORATIONS. CURRENTLY CIRM CONTINUES
21 TO SUFFER FROM THE IMAGE THAT IT IS A CLOSED CLUB.
22 OPENING CONFERENCES TO ALL APPLICANTS AND EVEN OTHER
23 INTERESTED PARTIES WOULD HELP CORRECT THAT. THANK
24 YOU FOR YOUR CONSIDERATION. JOHN M. SIMPSON."

25 CHAIRMAN KLEIN: THANK YOU VERY MUCH. I'D

BARRISTERS' REPORTING SERVICE

1 JUST LIKE TO SAY THAT I DON'T THINK THE BIOTECH
2 INDUSTRY AT LARGE HAS FEELINGS THAT ARE REFLECTED IN
3 THAT STATEMENT. AS SOME OF YOU MAY KNOW, I RECEIVED
4 A REWARD FROM BIOINTERNATIONAL CONFERENCE THIS YEAR,
5 THEIR HUMANITARIAN AWARD. BUT WE ARE IN CONSTANT
6 COMMUNICATION WITH THEM. DUANE CERTAINLY IS VERY
7 ACTIVE IN THAT ORGANIZATION.

8 I CONFERRED WITH THEIR STAFF ON THE RIDER
9 IN THE HEALTHCARE BILL THAT PROVIDED ORIGINALLY A
10 BILLION DOLLARS OF TAX CREDITS TO BIOTECH. I HAD
11 SUGGESTED TO THEIR STAFF AND THEIR SENIOR MANAGEMENT
12 THAT SINCE SMALL BIOTECH COMPANIES, WHICH WERE
13 CRITICAL IN OUR FIELD AND MANY FIELDS OF
14 BIOMEDICINE, COULDN'T REALLY USE THESE TAX CREDITS,
15 THAT THEY SHOULD REALLY FOCUS ON NEGOTIATING AN
16 EXCHANGE PROVISION, WHICH THEY DID. AND THAT
17 EXCHANGE PROVISION WHERE YOU CAN CASH IN THAT
18 BILLION DOLLARS IS BEING IMPLEMENTED CURRENTLY.

19 SO I HAVE MEETINGS WITH -- A MEETING WITH
20 STEVE SHERWIN THAT IS BEING SCHEDULED TO ADVANCE OUR
21 COLLABORATION. I KNOW DUANE WORKS ON IT. WE HAVE
22 PROGRESSED WITH THE LOAN PROGRAM. HOPEFULLY WE WILL
23 MAKE ADDITIONAL PROGRESS IN THE NEAR FUTURE WITH
24 EMBRACING THAT PROGRAM. BUT I WOULD LIKE TO SAY
25 THAT IT WOULD ALSO BE HELPFUL TO AGGREGATE SOME OF

BARRISTERS' REPORTING SERVICE

1 THE INFORMATION WE HAVE INTERNALLY WHERE BIOTECH
2 COMPANIES ARE NOT THE PI OR CO-PI, BUT THEY ARE
3 PARTICIPANTS IN OUR GRANTS BECAUSE WE HAVE MANY MORE
4 BIOTECH COMPANIES THAT ARE INVOLVED IN THESE GRANTS
5 THAN THOSE THAT ARE COUNTED NOMINALLY BY THEIR
6 LEADERSHIP POSITION AS PI'S AND CO-PI'S. I THINK
7 THAT WILL GIVE A BETTER VIEW.

8 NEVERTHELESS, WE HAVE AN IMPORTANT JOB TO
9 DO. I THINK JEFF SHEEHY AND ART TORRES AND MANY OF
10 US HAVE DISCUSSED HOW IMPORTANT IT IS TO EMBRACE
11 BIOTECH AND GET THEM DEEPLY INVOLVED IN
12 COLLABORATING WITH US. MATCHING FUNDS ARE GOING TO
13 BE VERY IMPORTANT FROM THEIR RESOURCES, AND WE
14 DEFINITELY NEED TO MAKE MORE AGGRESSIVE PROGRESS.

15 MR. TORRES: I JUST WANT TO ALSO APPLAUD
16 THE WORK THAT DUANE HAS DONE AND OUR STAFF IN
17 REACHING OUT WITH VARIOUS WORKSHOPS WITH BIOTECH
18 COMPANIES THROUGHOUT THE STATE TO MAKE SURE THAT
19 THEY UNDERSTAND HOW TO DEAL WITH THE PROCESS.

20 THE OTHER ISSUE THAT WE'VE DISCOVERED IS
21 THAT MANY OF THE BIOTECH COMPANIES AREN'T AS GOOD A
22 GRANT WRITER AS OUR PUBLIC INSTITUTIONS BECAUSE
23 THEY'RE NOT USED TO IT, QUITE FRANKLY, AND DON'T
24 HAVE TO FOR THE MOST PART.

25 I THINK SOME OF THE ISSUES THAT JOHN HAS

BARRISTERS' REPORTING SERVICE

1 RAISED ARE SERIOUS ISSUES THAT WE NEED TO REVIEW
2 OBVIOUSLY. BUT THE ONE ISSUE THAT CONTINUES TO IRK
3 ME IS THAT ANYONE WHO SITS HERE DURING THE REVIEW OF
4 THESE GRANTS AND SEES PEOPLE THAT HAVE TO BE REMOVED
5 FROM THE ROOM BECAUSE THEY HAVE TO RECUSE THEMSELVES
6 FROM VOTING ON GRANTS, AND MANY OF THEM ARE ACADEMIC
7 INSTITUTIONS, JUST BELIES THE FACT THAT THIS IS AN
8 OLD BOY'S OR AN OLD GIRL'S CLUB. IT'S VERY MUCH
9 RESTRAINED IN TERMS OF THE CONFLICTS OF INTEREST
10 THAT APPLY TO ALL OF THE BOARD MEMBERS, BUT MOSTLY
11 TO THE ACADEMIC INSTITUTIONS.

12 AND SECONDLY, IT'S ALSO MISUNDERSTOOD BY
13 MUCH OF THE PUBLIC, ALTHOUGH WE NEED TO DO MORE
14 EDUCATION ON THAT, IS THAT NONE OF THE MONEY THAT WE
15 SPEND CAN BE SPENT OUTSIDE OF CALIFORNIA. SO
16 NATURALLY THE TALENT ARE GOING TO BE CALIFORNIA
17 INSTITUTIONS, ESPECIALLY ACADEMIC INSTITUTIONS. SO
18 I THINK WE NEED TO DO MORE HOMEWORK ON EDUCATING THE
19 PUBLIC, BUT ALSO MORE HOMEWORK ON EDUCATING THE
20 PUBLIC AS TO ACTUALLY GOES ON WHEN VOTES ARE TAKEN
21 HERE FOR SPECIFIC GRANTS AND HOW PEOPLE THAT ARE
22 TIED TO A SPECIFIC GRANT THROUGH AN ACADEMIC
23 INSTITUTION AREN'T ALLOWED AT ANY POINT OF THE
24 PROCESS TO TAKE PART AND MUST RECUSE THEMSELVES TO
25 AVOID ANY APPEARANCE OF CONFLICT BOTH PERCEIVED AND

BARRISTERS' REPORTING SERVICE

1 LEGAL .

2 CHAIRMAN KLEIN: OKAY. SO I'M GOING TO
3 KEEP THE ROLLS OPEN, BUT EVERYONE ELSE CAN GO TO
4 LUNCH. AND BRING ME SOME ICED TEA, BUT THANK YOU
5 FOR YOUR DEDICATED SERVICE AND THANK THE STAFF. WE
6 SHOULD ASK THAT EVERYONE JUST GIVES A FINAL ROUND OF
7 APPLAUSE TO MELISSA, THE CHAIR'S STAFF, ALL OF THE
8 STAFF.

9 (APPLAUSE.)

10 (A LUNCH RECESS WAS TAKEN AT 12:49
11 P.M.)

12 MR. TORRES: MARCY FEIT.

13 MS. FEIT: THIS IS MARCY.

14 MR. TORRES: MARCY FEIT, THIS IS ART
15 TORRES. HOW ARE YOU?

16 MS. FEIT: HI. DO YOU NEED A VOTE?

17 MR. TORRES: YES, I DO. AND THIS IS HOW
18 WE'RE GOING TO PROCEED. I'VE BEEN WAITING FOR YOU
19 HERE.

20 MS. FEIT: HOLD ON A MINUTE. I WANT TO
21 MAKE SURE I'M ON THE PHONE. HOLD ON.

22 MR. TORRES: MARCY, WE ARE NOW ON ITEM 10,
23 WHICH HAS BEEN PUT ON CALL, WHICH IS THE BUDGET FOR
24 CIRM, WHICH I'M SURE YOU'RE FAMILIAR WITH. HOW DO
25 YOU VOTE?

BARRISTERS' REPORTING SERVICE

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MS. FEIT: IT'S YES.

MR. TORRES: MARCY FEIT RECORDED AS YES.

MS. KING: FOR THE RECORD, SENATOR TORRES,
THAT MOTION CARRIES.

MR. TORRES: THAT MOTION CARRIES. THANK
YOU, MARCY. HAVE A WONDERFUL WEEKEND.

IS THERE A MOTION TO ADJOURN? I SO MOVE.
THE MEETING IS ADJOURNED.

(THE MEETING WAS THEN ADJOURNED AT
02:08 P.M.)

BARRISTERS' REPORTING SERVICE

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

SHERATON SAN DIEGO
1380 HARBOR ISLAND DRIVE
MARINA TOWER, HARBOR ISLAND I
SAN DIEGO, CALIFORNIA
ON
WEDNESDAY, JUNE 23, 2010

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152
BARRISTER'S REPORTING SERVICE
1072 BRISTOL STREET
SUITE 100
COSTA MESA, CALIFORNIA
(714) 444-4100