

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: MARRIOTT WATERFRONT SFO
1800 OLD BAYSHORE HIGHWAY
BURLINGAME, CALIFORNIA

DATE: JULY 26, 2012
9 A.M.

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO.: 91119

BARRISTERS' REPORTING SERVICE

I N D E X

ITEM DESCRIPTION	PAGE NO.
1. CALL TO ORDER/2. PLEDGE OF ALLEGIANCE.	3
3. ROLL CALL.	3
4. CHAIRMAN'S REPORT.	5
5. PRESIDENT'S REPORT AND FINANCIAL REPORT	11, 20
ACTION ITEMS	
6. CONSIDERATION OF APPLICATIONS FOR DISEASE TEAM THERAPY DEVELOPMENT AWARDS (RFA 10-05).	22
CLOSED SESSION	
DISCUSSION ITEMS	
8. COMMUNICATIONS UPDATE.	NOT HEARD
ACTION ITEMS	
9. CONSIDERATION OF PROPOSED AMENDMENTS TO THE INTELLECTUAL PROPERTY REGULATIONS.	NOT HEARD
10. CONSIDERATION OF EARLY TRANSLATIONAL IV CONCEPT PROPOSAL.	NOT HEARD
11. CONSIDERATION OF A RESOLUTION HONORING TED LOVE.	NOT HEARD
12. CONSIDERATION OF AMENDMENTS TO THE GRANTS ADMINISTRATION POLICY.	NOT HEARD
13. CONSIDERATION OF APPOINTMENT OF NEW SCIENTIFIC MEMBERS OF THE GRANTS WORKING GROUP.	NOT HEARD
14. CONSIDERATION OF APPLICATIONS FOR RESEARCH LEADERSHIP AWARDS (RFA 09-04).	276
15. CONSIDERATION OF MINUTES FROM THE MAY 2012 ICOC BOARD MEETING.	NOT HEARD

BARRISTERS' REPORTING SERVICE

1 SAN FRANCISCO, CALIFORNIA; THURSDAY, JULY 26, 2012

2 9 A.M.

3

4 CHAIRMAN THOMAS: EVERYBODY PLEASE TAKE
5 YOUR SEATS. GOOD MORNING, EVERYBODY. WE'D LIKE TO
6 CALL THIS MEETING OF THE INDEPENDENT CITIZENS
7 OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE OF
8 REGENERATIVE MEDICINE TO ORDER. MARIA, WOULD YOU
9 PLEASE LEAD US IN THE PLEDGE OF ALLEGIANCE.

10 (THE PLEDGE OF ALLEGIANCE.)

11 CHAIRMAN THOMAS: MARIA, PLEASE CALL THE
12 ROLL.

13 MS. BONNEVILLE: ROBERT PRICE.

14 DR. PRICE: HERE.

15 MS. BONNEVILLE: DAVID BRENNER.

16 DR. BRENNER: HERE.

17 MS. BONNEVILLE: JACOB LEVIN.

18 DR. LEVIN: HERE.

19 MS. BONNEVILLE: CLAIRE POMEROY.

20 DR. POMEROY: HERE.

21 MS. BONNEVILLE: MARCY FEIT.

22 MS. FEIT: HERE.

23 MS. BONNEVILLE: TED KRONIRIS.

24 DR. KRONIRIS: HERE.

25 MS. BONNEVILLE: LEEZA GIBBONS.

BARRISTERS' REPORTING SERVICE

1 MS. GIBBONS: HERE.
2 MS. BONNEVILLE: MICHAEL GOLDBERG. SAM
3 HAWGOOD.
4 DR. HAWGOOD: HERE.
5 MS. BONNEVILLE: STEPHEN JUELSGAARD.
6 DR. JUELSGAARD: HERE.
7 MS. BONNEVILLE: SHERRY LANSING.
8 MS. LANSING: HERE.
9 MS. BONNEVILLE: BERT LUBIN.
10 DR. LUBIN: HERE.
11 MS. BONNEVILLE: MICHAEL MARLETTA. SHLOMO
12 MELMED.
13 DR. MELMED: HERE.
14 MS. BONNEVILLE: PHIL PIZZO. FRANCISCO
15 PRIETO.
16 DR. PRIETO: HERE.
17 MS. BONNEVILLE: ROBERT QUINT. DUANE
18 ROTH.
19 MR. ROTH: HERE.
20 MS. BONNEVILLE: JOAN SAMUELSON.
21 MS. SAMUELSON: HERE.
22 MS. BONNEVILLE: DAVID SERRANO-SEWELL.
23 MR. SERRANO-SEWELL: HERE.
24 MS. BONNEVILLE: JEFF SHEEHY.
25 MR. SHEEHY: HERE.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: JONATHAN SHESTACK.

2 MR. SHESTACK: HERE.

3 MS. BONNEVILLE: OSWALD STEWARD.

4 DR. STEWARD: HERE.

5 MS. BONNEVILLE: JONATHAN THOMAS.

6 CHAIRMAN THOMAS: HERE.

7 MS. BONNEVILLE: ART TORRES.

8 MR. TORRES: HERE.

9 MS. BONNEVILLE: KRISTINA VUORI.

10 DR. VUORI: HERE.

11 MS. BONNEVILLE: JAMES ECONOMOU.

12 DR. ECONOMOU: HERE.

13 MS. BONNEVILLE: CARMEN PULIAFITO.

14 CHAIRMAN THOMAS: LET THE RECORD SHOW DEAN
15 POMEROY JUST TOOK HER SEAT.

16 DR. POMEROY: HERE.

17 CHAIRMAN THOMAS: THANK YOU. THANK YOU TO
18 EVERYBODY WHO HAS COME TO WHAT PROMISES TO BE A VERY
19 INTERESTING MEETING COVERING VERY SERIOUS SUBJECT
20 MATTER. WE APPRECIATE EVERYBODY'S VERY HIGH LEVEL
21 OF INTEREST AND LOOK FORWARD TO A ROBUST DISCUSSION
22 ON THE ITEMS ON TODAY'S AGENDA.

23 LET ME BEGIN BRIEFLY WITH A CHAIR'S
24 REPORT. MARIA, MR. GOLDBERG IS IN THE HOUSE, AND
25 DR. STEWARD IS IN THE HOUSE AS WELL. WELCOME,

BARRISTERS' REPORTING SERVICE

1 GENTLEMEN.

2 THE PAST TWO MONTHS SINCE OUR LAST BOARD
3 MEETING HAD A NUMBER OF VERY INTERESTING
4 DEVELOPMENTS. LET ME JUST START BRIEFLY BY
5 DESCRIBING TO THE BOARD DISCUSSIONS WE HAVE HAD ON A
6 MATTER NEAR AND DEAR TO EVERYBODY'S HEART WHICH IS
7 CONTINUED FUNDING FOR CIRM OPERATIONS.

8 WE HAVE HAD MULTIPLE DISCUSSIONS WITH THE
9 STATE TREASURER'S OFFICE AND THE DEPARTMENT OF
10 FINANCE WHEREBY WE HAVE SECURED A FUNDING REGIMEN
11 GOING FORWARD THAT WILL FULLY MEET OUR NEEDS. AS
12 YOU MAY RECALL FROM PREVIOUS DISCUSSION, WE HAD A
13 SIGNIFICANT BALANCE OF OUTSTANDING BOND PROCEEDS
14 THAT THE GOVERNOR'S OFFICE HAS HIGHLIGHTED TO US AND
15 TO A BUNCH OF OTHER AGENCIES THAT HAD SURPLUS
16 PROCEEDS AS WELL. THEY'VE ASKED FOR ALL OF THE
17 AGENCIES IN QUESTION TO SPEND DOWN THOSE PROCEEDS
18 BEFORE FURTHER ISSUANCE OF BONDS ON THEIR BEHALF.

19 WE HAVE DUTIFULLY DONE THAT, AND WE'RE AT
20 THE POINT NOW WHERE ON AN ONGOING BASIS WE'RE GOING
21 TO BE FUNDED, NOT BY BOND PROCEEDS IMMEDIATELY, BUT
22 BY COMMERCIAL PAPER DRAWN DOWN BY THE STATE
23 TREASURER'S OFFICE WHICH IS AVAILABLE TO US AT
24 SEVERAL DAYS' NOTICE AND WILL BE DONE ON A MONTHLY
25 BASIS TO MEET OUR ONGOING FUNDING NEEDS.

BARRISTERS' REPORTING SERVICE

1 IN ADDITION TO THE MONTHLY FUNDING, WE'RE
2 GOING TO HAVE A COUPLE OF MONTHS OF ADDITIONAL
3 CUSHION JUST AS A SAFEGUARD, THOUGH WITH COMMERCIAL
4 PAPER, BECAUSE YOU CAN ACCESS THE MARKET SO QUICKLY,
5 UNLIKE AS IS THE CASE WITH THE BOND MARKET WHICH YOU
6 CAN ONLY TAP INTO TWICE A YEAR. WE ARE IN A VERY
7 GOOD SPOT WHERE WE'LL BE ABLE TO ENSURE THAT WE HAVE
8 ALL THE FUNDING WE NEED AT EACH MONTHLY INTERVAL
9 GOING FORWARD.

10 AT CERTAIN POINTS DOWN THE ROAD, THE
11 TREASURER'S OFFICE WILL REFINANCE THE OUTSTANDING
12 COMMERCIAL PAPER IN BOND ISSUES, WHICH IS FINE.
13 WE'RE AGNOSTIC AS TO THAT. WE DON'T CARE. WE WILL
14 HAVE OUR MONEY. THAT IS HOW THE STATE TREASURER'S
15 OFFICE WILL PROCEED WITH THE MANAGEMENT OF THE DEBT
16 THAT IT HAS. BUT THE MAIN MESSAGE TO ALL HERE IS
17 THAT WE ARE IN A VERY GOOD SITUATION WHERE WE'LL BE
18 BORROWING THROUGH COMMERCIAL PAPER, WHICH, BY THE
19 WAY, BECAUSE OF ITS SHORT DURATION, HAS THE LOWEST
20 POSSIBLE INTEREST RATE. SO IT'S A WIN FOR US, IT'S
21 A WIN FOR THE STATE, AND WE ARE VERY PLEASED THAT
22 THAT HAS BEEN PUT IN PLACE.

23 WOULD LIKE TO THANK LYNN HARWELL WHO HAS
24 BEEN A PRINCIPAL CONTACT WITH THE STATE TREASURER'S
25 OFFICE AND DOF, AND TOGETHER WE HAVE PUT THIS PLAN

BARRISTERS' REPORTING SERVICE

1 TOGETHER. SO I JUST WANTED EVERYBODY TO BE AWARE
2 THAT WE ARE IN VERY GOOD SHAPE AS FAR AS FUNDING.

3 IN ADDITION TO THAT, AS A NUMBER OF YOU
4 KNOW AND ATTENDED, WE HAD THE 10TH ANNUAL ISSCR
5 MEETING IN YOKOHAMA, WHICH IS A FIVE- TO SIX-DAY
6 AFFAIR, WHICH BROUGHT TOGETHER, AS ALWAYS, THE
7 WORLD'S TOP SCIENTISTS IN THE STEM CELL ARENA.
8 THERE WERE MANY PRESENTATIONS OF GREAT NOTE, AND
9 THERE WERE, IN ADDITION TO BEING ABLE TO HEAR THE
10 LATEST AND MOST CUTTING-EDGE WORLDWIDE, WE ALSO TOOK
11 THE OPPORTUNITY THROUGH DR. TROUNSON TO HAVE A
12 SERIES OF MEETINGS WITH A NUMBER OF OUR
13 COLLABORATIVE FUNDING PARTNERS TO GET THE STATUS
14 REPORT ON HOW WE ARE PROCEEDING WITH ALL THE
15 RELATIONSHIPS THAT WE HAVE BOTH INTERNATIONALLY AND
16 DOMESTICALLY THROUGH, I BELIEVE, ALAN, 21 -- 26 --
17 YOU JUST KEEP ADDING THEM RIGHT AND LEFT -- 26
18 COLLABORATIVE FUNDING AGREEMENTS.

19 AND WE'D LIKE TO THANK NANCY KOCH, WHO
20 WILL BE LEAVING THE AGENCY TO GO BE GENERAL COUNSEL
21 FOR THE GORDON AND BETTY MOORE FOUNDATION, WHICH IS
22 A TERRIFIC OPPORTUNITY, LIKE TO THANK HER FOR HER
23 MANY YEARS OF WORK IN CONNECTION WITH THE
24 COLLABORATIVE FUNDING AGREEMENTS AND RELATIONSHIPS
25 THAT SHE HAS BUILT, WHICH HAS BEEN VERY PIVOTAL TO

BARRISTERS' REPORTING SERVICE

1 THE SUCCESS OF THOSE PROGRAMS.

2 WE HAD ONE LITTLE INTERESTING, VERY
3 INTERESTING ADDITIONAL THING HAPPEN IN JAPAN.
4 SHENYA YAMINAKA WHO IS, OF COURSE, THE GREAT,
5 RENOWNED WITH HIS IPS WORK AND WAS SORT OF THE
6 HONORARY CHAIR OF THE 10TH ANNIVERSARY OVER THERE,
7 WAS ABLE TO GET THE EMPEROR AND EMPRESS OF JAPAN TO
8 ACTUALLY COME TO THE 10TH ANNIVERSARY CELEBRATION,
9 WHICH WAS A GREAT THRILL FOR EVERYBODY WHO WAS
10 THERE. THEY WERE VERY INTERESTED AND ENGAGED, AND
11 IT WAS REALLY SOMETHING THAT WAS A VERY NOVEL THING
12 FOR ALL IN ATTENDANCE, AND WE GREATLY APPRECIATED
13 THAT OPPORTUNITY.

14 IN ADDITION TO ISSCR, WE HAD THE ANNUAL
15 BIO CONVENTION BACK IN BOSTON WHICH BROUGHT TOGETHER
16 LEADERS OF INDUSTRY. AS ALWAYS, A VERY GOOD
17 NETWORKING OPPORTUNITY. IN THE MIDST OF THAT, THE
18 ALLIANCE FOR REGENERATIVE MEDICINE HELD A ONE-DAY
19 FORUM WHERE THEY TALKED ABOUT ISSUES CONNECTED TO
20 STEM CELLS WITH MORE OF AN EMPHASIS ON GETTING TO
21 THE CLINIC. I THINK THAT WAS VERY INTERESTING.
22 DR. FEIGAL DID A WONDERFUL JOB HOSTING ONE OF THE
23 EARLY PANELS WHICH WAS PARTICULARLY NOTEWORTHY SINCE
24 SHE HAD JUST COME FROM JAPAN AND WAS 12 HOURS
25 REMOVED, AND YOU NEVER WOULD HAVE KNOWN IT. SHE WAS

BARRISTERS' REPORTING SERVICE

1 ENTIRELY COGENT, ARTICULATE, AS ALWAYS. SO THAT WAS
2 A VERY INTERESTING DAY'S SESSION BACK THERE.

3 WE'VE HAD, OF COURSE, SINCE OUR LAST
4 MEETING TWO MORE GRANTS WORKING GROUP MEETINGS. I
5 SHOULD NOTE AS AN ASIDE THAT THE YEAR 2012 WILL GO
6 DOWN AS ONE OF THE MOST ACTIVE IN AGENCY HISTORY IN
7 TERMS OF THE NUMBER OF RFA'S REVIEWED BY GRANTS
8 WORKING GROUPS ACROSS THE FUNDING SPECTRUM OF ALL
9 THE PROGRAMS THAT CIRM HAS. SINCE OUR LAST MEETING,
10 WE HAD SUCH MEETINGS FOR THE RESEARCH LEADERSHIP
11 AWARD AND FOR OUR FOURTH BASIC BIO RFA. AS ALWAYS,
12 THESE MEETINGS FEATURED VERY IN-DEPTH ANALYSIS AND
13 DISCUSSION AND, I THINK, ARRIVED AT VERY GOOD
14 RESULTS.

15 LASTLY, I'D JUST LIKE TO COMMENT ON ONE OF
16 OUR, I THINK, GREATEST PROGRAMS, WHICH IS THE
17 BRIDGES PROGRAM. WE BROUGHT TOGETHER THE BRIDGES
18 STUDENTS FROM AROUND THE STATE WHO CAME TO HEAR A
19 NUMBER OF PRESENTATIONS AND TO DISPLAY THEIR POSTERS
20 ON WORK THAT THEY'RE DOING IN CONNECTION WITH THEIR
21 FUNDED FELLOWSHIPS AT OUR VARIOUS INSTITUTIONS.
22 THIS WAS A WONDERFUL EVENT. THE ENTHUSIASM AND
23 INTEREST LEVEL OF THE STUDENTS IS PALPABLE.

24 WE NOW HAVE, AS I UNDERSTAND IT, PUT OUT
25 ROUGHLY \$50 MILLION THROUGH THE PROGRAM AND HAVE

BARRISTERS' REPORTING SERVICE

1 OVER THE YEARS FUNDED OVER 350 BRIDGES SCHOLARS.

2 AND IN TALKING TO A NUMBER OF PEOPLE, WE
3 THINK THAT THIS IS, AT THE END OF THE DAY, GOING TO
4 BE SOME OF OUR MONEY THAT IS BEST SPENT BECAUSE
5 WE'RE TRAINING THE FUTURE STEM CELL SCIENTISTS OF
6 TOMORROW. DR. LUBIN GAVE A GREAT TALK AT THAT
7 MEETING AND I THINK REALLY ENJOYED HIS TIME THERE.
8 AND SO IT'S A TESTIMONY TO THE INTEREST THAT HAS
9 BEEN GENERATED BY CIRM AND WHAT WE DO THAT WE HAVE
10 SO MUCH INTEREST BY THE STUDENTS IN THIS. AND I
11 THINK THAT WILL CONTINUE TO BE ONE OF OUR HALLMARK
12 PROGRAMS AS WE PROGRESS DOWN THE ROAD. SO BUSY
13 COUPLE MONTHS.

14 THAT CONCLUDES MY CHAIRMAN'S REPORT. I'D
15 LIKE TO TURN IT OVER NOW TO DR. TROUNSON FOR THE
16 PRESIDENT'S REPORT.

17 DR. TROUNSON: THANK YOU VERY MUCH, CHAIR.
18 I'M GOING TO BE A LITTLE BIT BRIEF OR BRIEF AS I CAN
19 TODAY. SO, CHAIR, I WONDER IF I CAN INTRODUCE TO
20 THE BOARD A VISITOR FROM ANDALUCIA. I HOPE I GOT
21 THAT PRONOUNCED CLOSE ENOUGH. NATTI QUENDI, SHE'S
22 HERE. STAND UP, NATTI.

23 (APPLAUSE.)

24 DR. TROUNSON: NATTI IS AN M.D. PH.D. AND
25 IS THE REPRESENTATIVE WHO WORKS WITH US FROM

BARRISTERS' REPORTING SERVICE

1 ANDALUCIA. SHE'S OBVIOUSLY GOING TO HAVE SOME
2 INTERESTING TALKS WITH US TODAY, AND WE'LL ALSO BE
3 INVOLVED, I GUESS, IN SOME FURTHER DISCUSSIONS WITH
4 STAFF.

5 I'VE BEEN AWAY IN MELBOURNE. I'M GOING TO
6 BRIEFLY SHOW YOU A FEW SLIDES ON WHAT I THINK IS
7 REALLY QUITE INCREDIBLY INTERESTING NANOTECHNOLOGY
8 BECAUSE WE HAVEN'T REALLY EMBRACED NANOTECHNOLOGY,
9 AND I WANTED TO GIVE YOU A LITTLE BIT OF A FLAVOR
10 FOR WHAT I'VE BEEN DOING FOR TWO WEEKS DOWN IN
11 SYDNEY.

12 BEFORE I DO THAT, I'VE CUT DOWN MY
13 PRESENTATION, BUT YOU HAVE IN YOUR NOTES SOME
14 MATERIAL ON MEETINGS THAT HAVE HAPPENED. I WANT TO
15 DRAW YOUR ATTENTION TO THE ISSCR. AND, CHAIR, I
16 WONDER, WE HAVE REPORTS, DIGESTED REPORTS, FROM THE
17 SCIENCE OFFICERS. SO IF THE BOARD WOULD LIKE TO
18 HAVE THAT ABOUT 20 PAGES OF SPECIFIC DOWNLOADS FROM
19 OUR SCIENCE OFFICERS, I'M QUITE PREPARED TO GET THAT
20 CIRCULATED TO THE BOARD. OR IF YOU REQUEST MY
21 OFFICE SPECIFICALLY, I'LL SEND IT TO YOU.

22 CHAIRMAN THOMAS: DR. TROUNSON, I THINK
23 THAT'S AN EXCELLENT IDEA. I'M SURE THE BOARD WOULD
24 LIKE TO SEE THAT REPORT. THANK YOU.

25 DR. TROUNSON: SO WITH THOSE THINGS, YOU

BARRISTERS' REPORTING SERVICE

1 CAN READ THAT AT YOUR LEISURE. AND, AS JON SAID,
2 IT'S BEEN VERY, VERY INTERESTING.

3 WELL, THE NANOTECHNOLOGY I WANT TO QUICKLY
4 TALK TO YOU ABOUT IS, FIRST, THE ENCAPSULATION, STEM
5 CELL TRACKING, MAGNETIC-BASED NANOFECTION; THAT IS,
6 WAYS OF INTRODUCING GENES INTO CELLS, AND
7 NANOPARTICLE GROWTH FACTOR RELEASE, BIOMATERIALS OR
8 BY NANOMATERIALS USED IN REGENERATIVE MEDICINE
9 TARGETING CANCER STEM CELLS. AND I WANT TO SHOW YOU
10 A VERY BRIEF VIDEO OF HOW TO CLEAR CLOTS WITH
11 NANOPARTICLES WHICH MAY BE VERY SIGNIFICANT FOR A
12 NUMBER OF US.

13 IF YOU LOOK AT THE ENCAPSULATION
14 TECHNOLOGY, AND WE USE THIS IN BIOSITE IN A
15 DIFFERENT WAY, BUT THERE ON THE LEFT-HAND SIDE, YOU
16 CAN ACTUALLY ENCAPSULATE A CELL WHICH PREVENTS THE
17 IMMUNE CELLS FROM ATTACKING THOSE CELLS. SO IN MANY
18 ALLOGENEIC THERAPIES, THE BODY'S OWN CELLS WILL TRY
19 AND ATTACK THE CELLS THAT YOU PUT IN, EMBRYONIC
20 DEVELOPED CELLS OR MAYBE EVEN IPS CELLS. SO IF YOU
21 ENCAPSULATE THEM, YOU CAN PREVENT THAT. EITHER PUT
22 A MATRIX IN THERE OR YOU CAN PUT A LIQUID IN THERE
23 OR YOU CAN JUST COAT THE CELLS, AND YOU CAN ENABLE
24 THOSE CELLS TO GROW WITHIN THOSE PARTICLES, SO ONE
25 OR A FEW OR MANY CELLS WITHIN. THIS TECHNOLOGY IS

BARRISTERS' REPORTING SERVICE

1 REALLY MOVING VERY WELL.

2 ON THE BOTTOM I SHOW YOU WORK ON STEM CELL
3 TRACKING WHERE YOU CAN LABEL PARTICLES AND INTRODUCE
4 THEM INTO THE GREEN. THIS IS A GREEN STEM CELL.
5 THESE ARE THE PARTICLES THAT HAVE BEEN -- THEY'RE
6 LABELED WITH IRON OR GOLD. THEY'RE TAKEN UP BY THE
7 CELL IN A CULTURE DISH, AND THEN YOU CAN INJECT THEM
8 INTO THE PERSON AND FOLLOW THESE CELLS EVERYWHERE
9 THROUGHOUT THE BODY.

10 SO THESE THINGS ARE HAPPENING. WE CAN
11 LOOK AT MAGNETIC NANOPARTICLES HERE THAT YOU CAN
12 TAKE, SAY, THE GENES TO CREATE IPS CELLS, TAKE YOUR
13 SOMATIC CELLS, BLOOD CELLS, OR SKIN CELLS AND
14 TOGETHER THE DNA WILL ATTACH TO THESE PARTICLES.
15 AND THEN WHEN YOU MIX THESE PARTICLES TOGETHER WITH
16 CELLS IN A CULTURE DISH USING MAGNETIC-BASED
17 NANOFECTION, YOU CAN DRAW THAT DNA INTO THE CELL.
18 THE PARTICLES DON'T HAVE ANY TROUBLE DRAWING THEM
19 INTO THE CELL. SO THIS IS A NANOFECTION OR A WAY OF
20 CREATING CHANGE USING DNA.

21 I THINK THIS VIRUS-BASED LIPOSOME DELIVERY
22 SYSTEM IS VERY INTERESTING, VERY NOVEL. YOU CAN
23 ACTUALLY, SHOWN DOWN ON THE BOTTOM HERE, YOU CAN
24 TAKE A LIPOSOME AND YOU CAN ACTUALLY ADD THESE
25 LITTLE Q DOTS SHOWN AT THE BOTTOM HERE. YOU CAN

BARRISTERS' REPORTING SERVICE

1 INTRODUCE THESE INTO THE NANOPARTICLE AND FUSE IT
2 TOGETHER WITH A VIRUS. AND HERE'S THE NANOPARTICLE.
3 FUSE IT TOGETHER WITH A VIRUS, AND NOW YOU'VE GOT A
4 VIRUS TOGETHER WITH THE NANOPARTICLES AND YOU CAN
5 INTRODUCE THESE INTO THE CELLS. YOU CAN COAT THESE
6 NANOPARTICLES AGAIN WITH DNA, AND IT'S A WAY IN
7 WHICH YOU CAN ACTUALLY GET THIS MATERIAL INTO THE
8 CELL IN A VERY, VERY SPECIFIC WAY.

9 SO IF WE'RE INTERESTED IN CONVERTING,
10 LET'S SAY, HEART FIBROBLASTS TO CARDIOMYOCYTES,
11 MAYBE THIS WOULD BE A VERY SMART WAY TO SPECIFICALLY
12 ENGINEER THOSE CELLS TO CHANGE. SO THIS IS A WHOLE
13 NEW PLATFORM, IF YOU LIKE, WHERE THE VIROLOGISTS ARE
14 WORKING WITH THE NANOTECHNOLOGISTS, AND I THINK THEY
15 START TO NEED TO WORK TOGETHER WITH US. YOU CAN
16 TAKE THE NANOPARTICLES AND YOU CAN ADD VARIOUS
17 GROWTH FACTORS JUST SHOWN HERE, ALL THESE DIFFERENT
18 GROWTH FACTORS IN DIFFERENT COLORS, AND YOU ADD THEM
19 TO, SAY, MESENCHYMAL STEM CELLS, YOU CAN GET THOSE
20 CELLS THEN TO CHANGE TO CARTILAGE, TO SKIN, TO
21 HEART, OR TO ADIPOSE CELLS REALLY JUST WITH THE
22 PRESENCE OF THESE PARTICLES WITH THE GROWTH FACTORS
23 IN THEM.

24 AGAIN, BEING ABLE TO DIRECT THESE CELLS TO
25 DO SOMETHING THAT YOU WANT IN THE BODY IS QUITE

BARRISTERS' REPORTING SERVICE

1 EMPHATIC. IF YOU TAKE A MESENCHYMAL STEM CELL,
2 AGAIN, AND USING BIOMATERIALS, YOU CAN TURN THEM
3 INTO ADIPOSE TISSUE, YOU CAN TURN THESE INTO CELLS
4 THAT ARE HEPATOCYTE TISSUES, CARTILAGE, ETC. AND
5 THIS IS USING NANOMATERIALS. SO YOU CAN COAT THE
6 NANOMATERIAL ON ONE SIDE WITH CERTAIN GROWTH
7 FACTORS, ON THE OTHER SIDE DIFFERENT GROWTH FACTORS.
8 SO AS SHOWN HERE ON THIS SIDE OF THE MATERIAL, YOU
9 GET GROWTH OF THESE CELLS EXPRESSING THIS MARKER,
10 AND ON THE OTHER SIDE YOU GET CELLS EXPRESSING THIS
11 MARKER. SO YOU'VE NOW GOT CELLS THAT WILL GROW ONE
12 SIDE DIFFERENT FROM THE OTHER SIDE. SO THIS CAN
13 BECOME A BONDING MECHANISM FOR CELLS WHICH YOU NEED
14 TO BOND TOGETHER WITH FRACTURES AND SO FORTH.

15 IT'S A VERY, VERY SMART TECHNOLOGY PASSING
16 INTO TRANSLATIONAL WORK TOWARDS THE CLINIC. YOU CAN
17 MIX GROWTH FACTORS TOGETHER WITH CELLS AND
18 MATERIALS, AND YOU CAN USE THESE INJECTIONS INTO THE
19 HEART TO CREATE -- IN ANIMALS TO CREATE REPAIRING
20 THE HEART.

21 I WANTED TO SHOW YOU THIS. THIS MATERIAL
22 HERE CAN BE BONDED TOGETHER. THIS LOOKS LIKE A
23 LOOP. SO YOU BOND IT TOGETHER WITH FIVE OTHER LOOPS
24 AND YOU GROW CARDIOMYOCYTES IN IT. THEN THIS WHOLE
25 STRUCTURE WILL TWITCH AND TWITCH IN UNISON WITH ALL

BARRISTERS' REPORTING SERVICE

1 THE CARDIOMYOCYTES. SO THEN IF YOU ATTACH IT TO THE
2 HEART, THIS IS GOING TO THEN OPERATE AS A MATERIAL
3 WHICH IS THEN FUNCTIONING IN SYNC WITH THE HEART.
4 AND THIS MATERIAL CAN DISSOLVE AWAY EVENTUALLY,
5 LEAVING YOU WITH FUNCTIONAL HEART STRUCTURES THERE.
6 THIS IS REALLY, REALLY SMART NANOTECHNOLOGY. AND IT
7 IS THINGS I THINK WE OUGHT TO BE REALLY INTERESTED
8 IN.

9 FINALLY, I WANTED TO SHOW YOU SOMETHING
10 BECAUSE I THINK THIS IS SOMETHING THAT FRANCISCO AND
11 OTHERS WILL SEE AS MAYBE QUITE INTERESTING. YOU CAN
12 ACTUALLY USE NANOPARTICLES TO CLEAR THE OBSTRUCTIONS
13 THAT HAPPEN IN BLOOD VESSELS. NOW, THIS IS A
14 SERIOUS PROBLEM FOR THE HEART. IF YOU GET THAT,
15 YOU'RE ENTERING THE CHANCE OF HAVING A HEART ATTACK.
16 IF YOU USE THESE NANOPARTICLES AND YOU COAT THEM
17 WITH A PLASMINOGEN ACTIVATOR OR SOME APPROPRIATE
18 MATERIAL, THIS IS WHAT YOU SHOULD SEE. SEE IF I CAN
19 GET THIS VIDEO WORKING.

20 HERE IS THE CLOT IN THE CENTER HERE. AND
21 THESE ARE THE NANOPARTICLES YOU CAN SEE. THEY WERE
22 MADE FLUORESCENT, AND YOU SEE IT DISPERSING THE
23 CLOT. OFTEN YOU DON'T KNOW WHERE THESE CLOTS ARE.
24 AND HERE IT IS DISPERSING THOSE CLOTS IN VERY RAPID
25 TIME. WITHIN ONE OR TWO MINUTES IT WILL REMOVE ALL

BARRISTERS' REPORTING SERVICE

1 OF THE CLOTS -- THIS IS IN AN ANIMAL AT THE
2 MOMENT -- REMOVE ALL OF THOSE CLOTS. AND IT IS A
3 VERY INTERESTING PROCESS WHICH I THINK NEEDS TO BE
4 THOUGHT ABOUT ADOPTING IN DUE COURSE.

5 HERE'S THE POWER OF THESE NANOTECHNOLOGIES
6 WHICH I THINK WE OUGHT TO ENGAGE WITH. SO JUST AN
7 ARRAY OF THOSE THINGS.

8 JUST NOW TO COME BACK ON OUR PROGRAMS,
9 THIS IS OUR CURRENT PROGRAM. CLEARLY ALL THE PEOPLE
10 HERE TODAY ARE INTERESTED, I THINK, IN THE DISEASE
11 TEAM THERAPY DEVELOPMENT. WE'RE HAVING AN EARLY
12 TRANSLATIONAL IV CONCEPT PROPOSAL AT THIS MEETING,
13 AND THE IPS CELL INITIATIVE, THE RFA HAS BEEN POSTED
14 AND THE POST WEBINAR HAS BEEN HELD.

15 THE GENOMICS INITIATIVE WE EXPECT TO POST
16 IN AUGUST. BASIC BIOLOGY, AN ICOC FUNDING DECISION
17 IN SEPTEMBER. THE STRATEGIC PARTNERSHIP AWARDS ALSO
18 LOOK FORWARD TO, I THINK, HERE BY THE BOARD. THE
19 GRANTS WORKING REVIEW OF APPLICATIONS WILL BE IN
20 SEPTEMBER. AND THEN, JUST TO REMIND YOU, THE NEW
21 FACULTY PHYSICIAN SCIENTIST TRANSLATIONAL RESEARCH
22 AWARD, THE REVIEW OF APPLICATIONS WILL BE IN
23 OCTOBER. SO ALL OF THESE THINGS, THERE'S A MASSIVE
24 AMOUNT OF WORK TO BE DONE BY THE REVIEWERS AND ALSO
25 BY THE BOARD OVER THE NEXT SIX MONTHS.

BARRISTERS' REPORTING SERVICE

1 I'VE TALKED ABOUT THE MEETINGS PRIOR TO
2 THIS AND I PUT THOSE IN YOUR FOLDERS, SO I WON'T
3 ACTUALLY GO THROUGH ANY OF THOSE. YOU CAN READ
4 THOSE AT YOUR LEISURE, AND THAT INCLUDES THE CHINA
5 WORKSHOP. I THOUGHT WE'D HIDDEN ALL THESE AWAY.

6 THE COLLABORATIVE FUNDING PARTNERS
7 MEETING, THERE'S A WORKSHOP IN BRAZIL, THERE'S A
8 BRIDGES MEETING, THE STEM CELL MEETING IN THE MESA
9 AND THE ALPHA CLINIC WORKSHOP, THEY'RE ALL MEANT TO
10 BE HIDDEN.

11 NOW, THE PERFORMANCE AUDIT REPORT, I SAID
12 THAT I WOULD LET YOU KNOW ABOUT PROGRESS EACH TIME
13 THAT I MET WITH YOU. IT WAS PRESENTED IN MAY, FOUND
14 TO BE IN FULL COMPLIANCE, AND THE RECOMMENDATIONS
15 FOR IMPROVED PERFORMANCE WERE GATHERED, AND THE
16 REPORTS ARE AVAILABLE AS SHOWN THERE ON THE WEBSITE.
17 AND THE ACTION PLAN, THERE'S AN INDIVIDUAL
18 RESPONSIBILITY BEING ALLOCATED AGAINST EACH OF THOSE
19 RECOMMENDATIONS. WE'RE COORDINATING AND TRACKING
20 AND REPORTING THAT, AND THE FULL REPORTS WILL BE TO
21 YOU AT SIX AND TWELVE MONTHS AND UPDATES AS WE MOVE
22 ALONG.

23 SO RECENTLY COMPLETED, A BOARD CODE OF
24 CONDUCT AS RECOMMENDED, THE SCO SYSTEM ACCESS AS
25 RECOMMENDED, THE PROCUREMENT OF DOCUMENTATION AS

BARRISTERS' REPORTING SERVICE

1 RECOMMENDED, AND GRANT OUTCOME SURVEY AS
2 RECOMMENDED. SO WE'VE DONE -- WE'VE ACHIEVED
3 SEVERAL OF THOSE, AND WE WILL KEEP REPORTING TILL WE
4 FINISH THE ENTIRE 30 OF THEM, SOMETHING LIKE THAT,
5 30 RECOMMENDATIONS.

6 SO NOW I WANT TO HAND OVER TO MATT TO
7 PRESENT THE FINANCE REPORT.

8 MR. PLUNKETT: GOOD MORNING. SO FIRST A
9 NUMBER OF OPERATIONAL UPDATES FOR YOU FROM THE
10 FINANCE GROUP. FIRST IS THAT DUE TO THE JUNE 30TH
11 YEAR-END CLOSE, YEAR-END ACCRUALS AND ADJUSTMENTS
12 AND SO FORTH ARE ONGOING; THEREFORE, WE INTEND TO
13 PRESENT FULL YEAR FINANCIALS AT THE SEPTEMBER ICOC
14 MEETING.

15 WHAT WE CAN SAY AT THIS POINT IS THAT OUR
16 PREVIOUS ESTIMATES OF THE SPENDING FOR THE 2011-2012
17 FISCAL YEAR DO REMAIN UNCHANGED AT THIS POINT.

18 THE YEAR-END AUDIT IS SCHEDULED FOR AUGUST
19 AND OCTOBER THIS YEAR, AND WE ARE TENTATIVELY
20 PLANNING TO HAVE THE AUDITOR PRESENTATION AND THE
21 ICOC REVIEW OF THE AUDIT AT THE DECEMBER BOARD
22 MEETING.

23 AND THEN FINALLY, THE UPDATING OF
24 FINANCIAL RECORDKEEPING AND REPORTING TO REFLECT THE
25 NEW DEPARTMENTS AND COST CENTERS THAT WE DISCUSSED

BARRISTERS' REPORTING SERVICE

1 AT THE MAY ICOC MEETING IS SUBSTANTIALLY COMPLETE.
2 SO GOING FORWARD, WE DO EXPECT THAT, WITH THE NEW
3 FINANCIAL REPORTING STRUCTURE, THAT YOU WILL BE ABLE
4 TO HAVE A LITTLE BIT GREATER VISIBILITY AND
5 TRANSPARENCY INTO WHERE THE DOLLARS ARE GOING AT THE
6 ORGANIZATION AND FOR WHAT PURPOSE.

7 SO A REALLY HIGH LEVEL OVERVIEW OF THE
8 FINANCIAL HIGHLIGHTS AS OF JUNE 30TH OF 2012. THE
9 GRANT DISBURSEMENTS FOR THE FISCAL YEAR WAS \$232.7
10 MILLION. THAT'S UP FROM THE PRIOR FISCAL YEAR OF
11 \$201.4 MILLION. THE AVAILABLE BOND CASH AS OF JUNE
12 30TH WAS 50.9 MILLION, A DECREASE OF \$42 MILLION
13 FROM TWO MONTHS BEFORE, WHICH WE REPORTED AT THE MAY
14 ICOC MEETING. AND AS DR. THOMAS PREVIOUSLY
15 MENTIONED, WE HAVE RECEIVED SUBSTANTIAL ADDITIONAL
16 CASH FROM THE STATE DUE TO THE SALE OF COMMERCIAL
17 PAPER. SO WE'RE IN VERY GOOD SHAPE TO FUND
18 CONTINUING OPERATIONS INTO THE FALL OF THIS YEAR.

19 THAT IS BRIEF, AS I MENTIONED. ARE THERE
20 ANY QUESTIONS ABOUT THE FINANCIALS? WE WILL HAVE A
21 FULL REPORT FOR YOU FOR THE FISCAL YEAR IN
22 SEPTEMBER.

23 CHAIRMAN THOMAS: THANK YOU, DR. TROUNSON.
24 THANK YOU, MATT. ALAN, DO YOU HAVE ANY FURTHER
25 COMMENTS?

BARRISTERS' REPORTING SERVICE

1 DR. TROUNSON: NO. WE WERE GOING TO GIVE
2 YOU AN UPDATE, AN UPDATE ON EXPENDITURES OVERALL,
3 BUT I DON'T KNOW WHERE THAT'S GONE. SO I'M NOT SURE
4 WHAT'S HAPPENED TO THAT.

5 MR. PLUNKETT: WE'RE GOING TO DO A QUICK
6 COMPUTER SWITCH HERE. THERE ARE A COUPLE MORE
7 SLIDES THAT WE WANT TO SHOW YOU WITH RESPECT TO THE
8 BALANCE OF FUNDING FOR THE AGENCY. WE'LL DO THIS A
9 LITTLE BIT DOWN THE ROAD. SORRY ABOUT THAT.

10 CHAIRMAN THOMAS: THANK YOU, MATT. GOING
11 TO PROCEED NOW TO ITEM NO. 6, WHICH IS CONSIDERATION
12 OF THE APPLICATIONS FOR DISEASE TEAM THERAPY
13 DEVELOPMENT AWARDS. I'D LIKE TO MAKE, BECAUSE I
14 KNOW THAT IS THE ITEM OF PRINCIPAL INTEREST TO MOST
15 IN THE AUDIENCE, JUST MAKE A COUPLE OF PRELIMINARY
16 COMMENTS.

17 THE MISSION OF CIRM IS TO TRY TO DEVELOP
18 CURES OR THERAPIES FOR MANY OF THE WORLD'S CURRENTLY
19 INCURABLE DISEASES AND CONDITIONS. ALL OF THE
20 DISEASES AND CONDITIONS THAT ARE THE SUBJECT OF
21 GRANT APPLICATIONS ARE OF TREMENDOUS IMPORTANCE.
22 NONE ARE MORE IMPORTANT THAN ANY OTHER, AND WE ARE
23 VERY, VERY SERIOUS IN OUR EFFORTS TO TRY TO GET THE
24 BEST SCIENCE AVAILABLE AND TO FUND IT FOR THE
25 DEVELOPMENT OF THESE CURES OR THERAPIES.

BARRISTERS' REPORTING SERVICE

1 THE PROCESS ITSELF, AS YOU KNOW, IS A VERY
2 RIGOROUS ONE. WHEN PROP 71 WAS PUT IN PLACE, IT
3 PROVIDED THAT, WITH EACH SET OF PROPOSALS, A GROUP
4 OF THE WORLD'S LEADING STEM CELL SCIENTISTS FROM
5 OUTSIDE CALIFORNIA WOULD BE CONVENEED TO ANALYZE THE
6 SCIENCE IN EACH OF THE APPLICATIONS IN QUESTION.

7 THESE MEETINGS ARE LENGTHY. THEY ARE
8 EXCEPTIONALLY THOROUGH. THEY ARE RIGOROUS. AND
9 THEY INEXORABLY ARRIVE AT CONCLUSIONS WITH RESPECT
10 TO EACH OF THE PROPOSALS THAT ARE BEING CONSIDERED.
11 THEY THEN ENGAGE IN PRIORITIZING BASED ON THEIR
12 ANALYSIS OF WHICH OF THE AWARDS MERIT FUNDING IN
13 THIS PARTICULAR ROUND. THOSE DECISIONS ARE NOT
14 TAKEN LIGHTLY. THEY ARE THE PRODUCT OF A GREAT DEAL
15 OF THOUGHT, AND THEY PRODUCE A LIST OF PROPOSED
16 PROJECTS FOR APPROVAL THAT ARE THEN BROUGHT TO THE
17 BOARD FOR ITS CONSIDERATION. WE'RE GOING TO HEAR
18 ABOUT A NUMBER OF THOSE THAT WERE RECOMMENDED FOR
19 APPROVAL THIS MORNING.

20 THERE ARE, AS WITH ANY GRANT APPLICATION
21 PROCESS, GOING TO BE APPLICATIONS WHICH AT THE TIME
22 OF PEER REVIEW ARE NOT RECOMMENDED FOR APPROVAL.
23 AND I SHOULD SAY, IN ADDITION TO PEER REVIEW, WHICH
24 ADDRESSES THE SCIENCE, WE HAVE A PROCESS WHICH I'M
25 GOING TO ASK MR. SHEEHY TO DESCRIBE IN MORE DETAIL

BARRISTERS' REPORTING SERVICE

1 IN A MINUTE WHICH FACTORS IN OTHER THINGS BESIDES
2 THE SCIENCE. IT'S SOMETHING WE CALL PROGRAMMATIC
3 REVIEW. ALL OF THAT IS UNDERTAKEN AT THE GRANTS
4 WORKING GROUP LEVEL. THE PROPOSED AWARDS ARE THEN
5 BROUGHT BEFORE THE BOARD AND WE HAVE DISCUSSION.

6 AND WITH RESPECT TO THOSE PROPOSALS THAT
7 WERE NOT RECOMMENDED FOR APPROVAL DOES NOT IN ANY
8 WAY, SHAPE, OR FORM MEAN THAT WE DON'T BELIEVE EACH
9 OF THOSE DISEASES AND CONDITIONS MERIT FULL INQUIRY
10 AND THE BEST RESEARCH AVAILABLE. IT JUST MEANS THAT
11 WITH RESPECT TO THE PARTICULAR DISCUSSION AND THE
12 CONTEXT OF OTHERS, OTHER PROPOSALS THAT WERE
13 ANALYZED AND SCORED IN THAT MEETING, THAT IN THIS
14 PARTICULAR ROUND CERTAIN PROPOSALS WERE NOT
15 RECOMMENDED FOR APPROVAL.

16 WE HAVE AVAILABLE A PROCESS FOR APPEALING.
17 THAT IS THE EXTRAORDINARY PETITION. A NUMBER OF
18 THOSE PROJECTS THAT WERE NOT RECOMMENDED FOR
19 APPROVAL HAVE SUBMITTED EXTRAORDINARY PETITIONS.
20 WE'RE GOING TO BE HEARING ABOUT THOSE THIS MORNING.
21 WE TAKE THIS VERY SERIOUSLY. I DO WANT TO SAY AT
22 THE OUTSET WE HAVE A LARGE NUMBER OF SPEAKERS
23 ANTICIPATED HERE. AS WITH OUR NORMAL COURSE, WE
24 NEED TO LIMIT AND MANAGE THE TIME OF THIS PROCESS.
25 SO WE'RE GOING TO REQUEST THAT EACH SPEAKER KEEP

BARRISTERS' REPORTING SERVICE

1 THEIR COMMENTS TO THREE MINUTES. WE WILL HAVE
2 MARIA -- MARIA, WAVE TO EVERYBODY THERE. MARIA IS
3 GOING TO BE KEEPING TRACK.

4 I KNOW THAT WITH RESPECT TO PROBABLY ALL
5 OF THE EXTRAORDINARY PETITIONS THERE WILL BE
6 MULTIPLE SPEAKERS. EACH SPEAKER WILL BE GIVEN THE
7 SAME AMOUNT OF TIME FOR HIS OR HER PRESENTATION SO
8 THAT EVERYBODY WHO WANTS TO SPEAK CAN SPEAK.

9 SO WITHOUT FURTHER ADO, LET ME TURN THE
10 MIKE OVER TO MR. HARRISON WHO IS GOING TO DESCRIBE
11 THE PROCESS FOR HANDLING THE APPLICATIONS TODAY.

12 MR. HARRISON: GOOD MORNING. AS USUAL,
13 STAFF WILL MAKE A PRESENTATION REGARDING THE DISEASE
14 TEAM THERAPY AWARD REQUEST FOR APPLICATIONS,
15 INCLUDING A SUMMARY OF THE CRITERIA AND THE
16 RECOMMENDATIONS MADE BY THE GRANTS WORKING GROUP.
17 AS THE CHAIR INDICATED, HE WILL THEN ASK JEFF
18 SHEEHY, WHO IS THE CO-VICE CHAIR OF THE GRANTS
19 WORKING GROUP, TO OFFER HIS COMMENTS REGARDING THE
20 REVIEW. AND AT THAT POINT IN TIME, WE WILL ASK YOU,
21 THE MEMBERS OF THE BOARD, TO IDENTIFY THOSE
22 APPLICATIONS ABOUT WHICH YOU DESIRE ADDITIONAL
23 INFORMATION, INCLUDING THOSE APPLICATIONS THAT ARE
24 THE SUBJECT OF EXTRAORDINARY PETITIONS.

25 SO WE WILL NOT BE DEALING SEPARATELY WITH

BARRISTERS' REPORTING SERVICE

1 THE EXTRAORDINARY PETITIONS. IF ANY OF YOU HAVE
2 QUESTIONS ABOUT AN APPLICATION, INCLUDING THOSE THAT
3 HAVE EXTRAORDINARY PETITIONS, YOU CAN IDENTIFY THEM
4 AT THAT TIME, AND WE WILL GO THROUGH EACH OF THEM
5 INDIVIDUALLY.

6 FIRST, WE WILL ASK STAFF TO MAKE A
7 PRESENTATION REGARDING THE APPLICATION. NEXT, WE
8 WILL OPEN THE FLOOR TO YOU FOR QUESTIONS. TO THE
9 EXTENT THAT YOUR QUESTION RAISES AN ISSUE THAT WOULD
10 REQUIRE THE STAFF TO DISCUSS PROPRIETARY
11 INFORMATION, SUCH AS PRELIMINARY DATA OR A POTENTIAL
12 AGREEMENT WITH A COMMERCIAL PARTNER, WE WILL MAKE
13 NOTE OF THOSE QUESTIONS, AND WE WILL RESERVE THEM
14 FOR CLOSED SESSION.

15 ONCE THE BOARD HAS EXHAUSTED ITS QUESTIONS
16 OF THE STAFF REGARDING THAT PARTICULAR APPLICATION,
17 WE WILL THEN ASK FOR PUBLIC COMMENT REGARDING THE
18 APPLICATION. ALL MEMBERS OF THE PUBLIC WHO WOULD
19 LIKE TO BE HEARD, AS THE CHAIR SAID, WILL BE LIMITED
20 TO THREE MINUTES. AND IN THAT MANNER THE BOARD WILL
21 HAVE ALL THE INFORMATION THAT'S NONPROPRIETARY ABOUT
22 A PARTICULAR APPLICATION AT THE SAME TIME.

23 WE'LL GO THROUGH THE LIST OF APPLICATIONS
24 IDENTIFIED BY BOARD MEMBERS IN THAT MANNER. AND
25 ONCE WE'VE COMPLETED THAT EXERCISE, WE WILL THEN ASK

BARRISTERS' REPORTING SERVICE

1 FOR PUBLIC COMMENT REGARDING ANY OF THE REMAINING
2 APPLICATIONS. WE WILL GO THROUGH EACH APPLICATION,
3 TO THE EXTENT THERE'S PUBLIC COMMENT, INDIVIDUALLY.
4 AND AFTER PUBLIC COMMENT, WE WILL ASK WHETHER ANY OF
5 THE PUBLIC COMMENTS MADE SUGGEST TO THE BOARD THAT
6 THEY WOULD LIKE TO HEAR ANY ADDITIONAL INFORMATION
7 FROM STAFF, INCLUDING A PRESENTATION REGARDING THAT
8 APPLICATION.

9 ONCE WE'VE HEARD ALL PUBLIC COMMENTS
10 REGARDING THE REMAINING APPLICATIONS, WE'LL GO TO
11 CLOSED SESSION TO DISCUSS ANY PROPRIETARY
12 INFORMATION THAT'S BEEN IDENTIFIED. WE WILL THEN
13 RETURN TO OPEN SESSION TO ENTERTAIN MOTIONS WITH
14 RESPECT TO THE APPLICATIONS EITHER TO MOVE AN
15 APPLICATION FROM THE DO-NOT-FUND CATEGORY INTO THE
16 FUND CATEGORY OR FROM THE FUNDING CATEGORY INTO THE
17 DO-NOT-FUND CATEGORY.

18 AND ONCE WE GO THROUGH ALL OF THOSE
19 MOTIONS, WE'LL ASK THE BOARD TO APPROVE FUNDING FOR
20 THOSE APPLICATIONS IN TIER I AND TO DENY FUNDING FOR
21 THOSE APPLICATIONS IN TIER III. I'D BE HAPPY TO
22 ANSWER ANY QUESTIONS YOU HAVE ABOUT THE PROCESS.

23 CHAIRMAN THOMAS: ANY MEMBERS OF THE BOARD
24 HAVE QUESTIONS ON THE PROCESS?

25 I WOULD LIKE TO HIGHLIGHT ONE POTENTIAL

BARRISTERS' REPORTING SERVICE

1 AVENUE THAT COULD BE PURSUED WITH RESPECT TO ONE OR
2 MORE APPLICATIONS. IN THE PAST, AT THE TIME OF
3 EXTRAORDINARY PETITION, WE HAVE HAD QUESTIONS RAISED
4 AS TO NEW DATA THAT WAS MADE AVAILABLE SINCE THE
5 GRANTS WORKING GROUP THAT COULD BEAR ON THE DECISION
6 TO FUND OR NOT. WE HAVE ISSUES WHERE THE SCIENTIFIC
7 ANALYSIS HAS BEEN CALLED INTO QUESTION AND, AGAIN,
8 POTENTIAL NEW DATA REFLECTING ON THAT PRESENTED.

9 THE BOARD, AS IT SITS HERE LISTENING TO
10 APPEALS BASED ON DIFFERENCES OF SCIENTIFIC OPINION,
11 HAS A VERY DIFFICULT CHORE BECAUSE THE GRANTS
12 WORKING GROUP SPENDS HOURS ANALYZING THE SCIENCE OF
13 THESE APPLICATIONS. AND FOR THE BOARD TO TRY TO SIT
14 HERE AND GET A BRIEF, THOUGH HIGHLY ELOQUENT AND
15 COMPELLING PRESENTATION ON EITHER NEW DATA OR THE
16 DIFFERENCES IN SCIENTIFIC OPINION OR WHATEVER, VERY,
17 VERY DIFFICULT WHEN YOU WEREN'T IN THE ROOM AT THE
18 TIME OF THE ANALYSIS TO TRY TO SECOND-GUESS THAT.

19 SO ONE OF THE OPTIONS THAT WE'RE GOING TO
20 LOOK AT, IF THE BOARD SO CHOOSES WITH RESPECT TO, AS
21 I SAY, MAYBE ONE MORE, MAYBE NONE, WE'LL SEE HOW IT
22 PLAYS OUT, OF THE APPLICATIONS IS TO REFER THAT
23 APPLICATION BACK TO A SUBSET OF THE GRANTS WORKING
24 GROUP WHO ANALYZED IT IN THE FIRST INSTANCE AND TO
25 GIVE THEM THE BENEFIT OF THE NEW DATA AND

BARRISTERS' REPORTING SERVICE

1 INFORMATION PRESENTED AT THE TIME OF THE
2 EXTRAORDINARY PETITION SO THEY CAN MAKE A JUDGMENT
3 ON WHETHER TO MOVE THAT PARTICULAR PROPOSAL UP INTO
4 THE FUNDING CATEGORY OR NOT.

5 THIS, WE BELIEVE, IS A VERY PRUDENT MOVE
6 WHICH GIVES THE PROPOSAL THE BEST CHANCE OF BEING
7 ANALYZED AND A CONCLUSION ARRIVED AT AFTER THE
8 PRESENTATION HERE.

9 MR. HARRISON, WOULD YOU LIKE TO SAY
10 ANYTHING MORE ABOUT THIS PARTICULAR ADDITIONAL
11 ANALYSIS OPTION?

12 MR. HARRISON: YES. JUST BRIEFLY, AS THE
13 CHAIR SAID, THE BOARD DOES HAVE THE AUTHORITY TO
14 DEFER CONSIDERATION OF AN APPLICATION IN ORDER TO
15 OBTAIN ADDITIONAL PEER REVIEW OF NEW INFORMATION,
16 AND THE PURPOSE OF THAT REVIEW IS REALLY TO
17 DETERMINE WHETHER THE NEW INFORMATION WOULD CHANGE
18 THE RECOMMENDATION OF THE PEER REVIEW GROUP.

19 THE BOARD HAS UTILIZED THIS PROCESS ONCE
20 BEFORE WITH RESPECT TO A TOOLS AND TECHNOLOGY
21 APPLICATION FOR WHICH THERE WAS A PUBLICATION THAT
22 WAS PRESENTED TO THE BOARD FOLLOWING THE GRANTS
23 WORKING GROUP REVIEW. AND THE PURPOSE OF THE
24 PROCESS IN THAT CASE WAS TO DETERMINE WHETHER THAT
25 PUBLICATION, THE NEW INFORMATION PRESENTED, WOULD,

BARRISTERS' REPORTING SERVICE

1 IN FACT, HAVE CHANGED THE PEER REVIEW
2 RECOMMENDATION. AND IN THAT PARTICULAR CASE, THE
3 GRANTS WORKING GROUP RECOMMENDED THAT THE
4 APPLICATION SHOULD BE FUNDED AND THE BOARD APPROVED
5 IT.

6 THAT WAS HANDLED PURSUANT TO A PROCESS
7 THAT THE BOARD HAD ADOPTED IN NOVEMBER OF 2011 THAT
8 HAD AN 18-MONTH SUNSET ON IT. SO THAT PROCESS
9 ITSELF HAS ELAPSED. NOTWITHSTANDING THAT, THE BOARD
10 DOES HAVE THE INHERENT AUTHORITY TO DEFER
11 CONSIDERATION OF AN APPLICATION FOR CONSIDERATION OF
12 NEW INFORMATION BY THE PEER REVIEW GROUP AND TO HAVE
13 THAT THEN BROUGHT BACK TO THE BOARD AT ITS NEXT
14 MEETING.

15 THE PROCESS THAT THE BOARD HAD ESTABLISHED
16 IS ILLUSTRATIVE OF WHAT YOU MIGHT CONSIDER, ALTHOUGH
17 YOU HAVE THE OPTION TO REQUEST THAT STAFF CONDUCT
18 THE PEER REVIEW IN WHATEVER MANNER YOU LIKE. IN
19 THAT CASE THERE WERE TWO DIFFERENT PATHWAYS. ONE
20 WAS TO HAVE THE REVIEW CHAIR AND THE ADMINISTRATIVE
21 CHAIR TOGETHER REVIEW THE NEW INFORMATION. AND IF
22 THEY DETERMINED THAT THEY COULD ADEQUATELY ASSESS
23 THE INFORMATION AND IF THEY REACHED A CONCURRENCE
24 REGARDING THEIR VIEW OF IT, THEIR RECOMMENDATION
25 WOULD GO BACK TO THE BOARD. IF THEY FELT THAT THEY

BARRISTERS' REPORTING SERVICE

1 NEEDED MORE INPUT OR IF THEY DID NOT AGREE, THEN IT
2 WAS REFERRED TO A SUBSET OF THE GRANTS WORKING GROUP
3 THAT INCLUDED THE REVIEW CHAIR, THE CHAIR OF THE
4 GRANTS WORKING GROUP, THE TWO CO-VICE CHAIRS OF THE
5 GRANTS WORKING GROUP, TWO SCIENTIFIC MEMBERS OF THE
6 GRANTS WORKING GROUP, AND THE CHAIR OF THE GOVERNING
7 BOARD ACTING IN EX OFFICIO CAPACITY.

8 AS I SAID, THAT'S OFFERED JUST FOR
9 ILLUSTRATIVE PURPOSES. YOU COULD DIRECT STAFF TO
10 CONDUCT THE PEER REVIEW IN A DIFFERENT MANNER IF YOU
11 SO CHOSE.

12 CHAIRMAN THOMAS: ANY QUESTIONS OR
13 COMMENTS?

14 DR. ECONOMOU: I JUST WANT TO STRONGLY
15 SUPPORT THIS PROPOSAL. THIS WOULD ALLOW US TO
16 PRESERVE THE INTEGRITY OF THE PEER REVIEW, THE
17 SCIENTIFIC PEER REVIEW PROCESS. IT WOULD ALSO
18 BETTER INFORM THIS COMMITTEE ABOUT DECISIONS
19 REGARDING EXTRAORDINARY PETITIONS. AND THIS IS THE
20 WAY THINGS ARE DONE IN MOST PEER REVIEWED REVIEWS
21 FOR LARGE FEDERAL GRANTS. SO I THINK THIS WOULD BE
22 VERY WELCOME FOR OUR COMMITTEE.

23 MR. SHESTACK: JAMES, I JUST WONDERED ARE
24 THERE ACTUALLY ANY IMPLICATIONS IN THE SUNSET, THE
25 18-MONTH PASSING AND THE SUNSET OF THE NOVEMBER 2011

BARRISTERS' REPORTING SERVICE

1 PRACTICE THAT YOU JUST DESCRIBED?

2 MR. HARRISON: NO. THE ONLY IMPLICATION
3 IS THAT THAT PROCESS ITSELF HAS LAPSED. THE BOARD
4 IS THE FINAL DECISION MAKER WITH RESPECT TO ALL
5 APPLICATIONS FOR FUNDING. SO IF THE BOARD
6 DETERMINES THAT IT WOULD BE HELPFUL TO HAVE
7 ADDITIONAL PEER REVIEW OF NEW INFORMATION, THEN THE
8 BOARD CAN REQUEST THAT PRIOR TO MAKING ITS FINAL
9 DECISION. THAT PROCESS ITSELF WAS PUT IN PLACE, BUT
10 IS NOT REFLECTIVE OF THE BOARD'S INHERENT AUTHORITY.

11 MR. SHESTACK: SO IT WOULDN'T BE WITHOUT
12 PRECEDENT TO SAY WE'LL FOLLOW THE PREVIOUS PRECEDENT
13 AND AVAIL OURSELVES OF THAT?

14 MR. HARRISON: CORRECT. I SHOULD ADD ONE
15 OTHER POINT, WHICH IS AT THE TIME THE BOARD MADE
16 CLEAR THAT THAT PROCESS WAS NOT INTENDED TO RESOLVE
17 PROGRAMMATIC ISSUES. TO THE EXTENT THAT AN
18 EXTRAORDINARY PETITION PRESENTED PROGRAMMATIC
19 ISSUES, THE BOARD IS CAPABLE OF RESOLVING THOSE IN
20 THIS SETTING.

21 CHAIRMAN THOMAS: OTHER COMMENTS OR
22 QUESTIONS ON THIS PROCESS ISSUE?

23 MS. SAMUELSON: MR. CHAIRMAN, A PROCEDURAL
24 QUESTION. AND FORGIVE ME IF I DIDN'T HEAR THIS, BUT
25 THE CLOSED SESSION IS GOING TO ADDRESS BOTH THE

BARRISTERS' REPORTING SERVICE

1 DISEASE TEAM AND RESEARCH LEADERSHIP AWARD
2 APPLICATIONS; IS THAT RIGHT?

3 CHAIRMAN THOMAS: THERE ARE ACTUALLY GOING
4 TO BE TWO DIFFERENT CLOSED SESSIONS, ONE FOR EACH.

5 MS. SAMUELSON: AND THEN THE RESEARCH
6 LEADERSHIP AWARDS, THE OPEN SESSION IS AFTER THAT.

7 CHAIRMAN THOMAS: NO. WE WILL GO THROUGH
8 THE DISEASE TEAM, WE'LL HAVE CLOSED SESSION. WE'LL
9 COME BACK, WE'LL FINISH THAT ISSUE, AND THEN WE WILL
10 EITHER MOVE IMMEDIATELY INTO THE RESEARCH LEADERSHIP
11 QUESTION, WHICH WILL FIRST BE IN PUBLIC SESSION,
12 CORRECT, MR. HARRISON, AND THEN WE'LL HAVE CLOSED
13 SESSION ON THAT AS WELL.

14 MS. SAMUELSON: OKAY. THANK YOU.

15 CHAIRMAN THOMAS: ANY OTHER COMMENTS,
16 QUESTIONS FROM THE BOARD ON THE PROCESS? SEEING
17 NONE, DR. TROUNSON, PLEASE, WOULD LIKE TO HAVE THE
18 PRESENTATION ON THE PROPOSALS IN THE DT II ROUND.

19 DR. TROUNSON: I'LL ASK DR. FEIGAL TO OPEN
20 UP WITH SOME COMMENTS, AND THEN DR. TALIB TO MAKE
21 THE PRESENTATION.

22 DR. FEIGAL: OKAY. THANKS VERY MUCH. AND
23 FIRST OFF, I JUST WANT TO SAY WE'RE EXCITED TO BRING
24 FORWARD THE RECOMMENDATIONS FROM THE GRANTS REVIEW
25 GROUP ON THE DISEASE TEAM THERAPY RESEARCH AWARDS.

BARRISTERS' REPORTING SERVICE

1 AS CONTEXT, BEFORE DR. TALIB MAKES THE
2 PRESENTATION, AND DR. TALIB, I SHOULD ACKNOWLEDGE,
3 AS A CIRM SCIENCE OFFICER, HAS BEEN LEADING THIS
4 INITIATIVE AND WILL PROVIDE THE RESULTS. I WOULD
5 LIKE THE BOARD TO CONSIDER THE FOLLOWING POINTS AS
6 YOU DELIBERATE TODAY.

7 THIS INITIATIVE IS ALIGNED WITH CIRM'S
8 FIVE-YEAR STRATEGIC GOALS, WHICH CIRM, OUR OVERSIGHT
9 BOARD, AND THE PUBLIC HAD BEEN WORKING ON SINCE LATE
10 AUGUST AND WHICH WERE PRESENTED TO THIS BOARD AND
11 APPROVED BY THIS BOARD AT THE MAY BOARD MEETING. IT
12 INCLUDED GOALS FOR SCIENTIFIC, FOR CLINICAL, FOR
13 ECONOMIC, AND FOR COMMUNITY PERSPECTIVES. AND THE
14 KEY FIVE-YEAR CLINICAL GOALS INCLUDED THE ABILITY TO
15 ACHIEVE BY THE END OF THAT FIVE YEARS TEN THERAPIES
16 IN PHASE I OR PHASE II CLINICAL TRIALS IN AT LEAST
17 FIVE DIFFERENT THERAPEUTIC AREAS BASED ON THE STEM
18 CELL RESEARCH AND, TWO, THAT THERE WOULD BE CLINICAL
19 PROOF OF CONCEPT THAT TRANSPLANTED CELLS DERIVED
20 FROM PLURIPOTENT OR PROGENITOR CELLS CAN BE USED TO
21 RESTORE FUNCTION IN DISEASE OR INJURY.

22 I THINK, AS WE ALL KNOW, WE'VE BEEN
23 ACTIVELY INVOLVED AND ENGAGED IN FUNDING RESEARCH TO
24 HELP ACHIEVE THESE GOALS. AND OUR INITIATIVES,
25 PARTICULARLY THE DISEASE TEAM INITIATIVES AND THE

BARRISTERS' REPORTING SERVICE

1 MORE RECENT STRATEGIC PARTNERSHIP INITIATIVES, WERE
2 SET IN PLACE TO FOSTER THIS ADVANCEMENT OF STEM
3 CELL-BASED SCIENCE TO CLINICAL TRIALS FOR PATIENTS.

4 AT THE MARCH BOARD MEETING, SO JUST A FEW
5 MONTHS AGO, I PROVIDED A PROGRESS UPDATE ON THE 14
6 DISEASE TEAMS THAT CIRM HAS ALREADY FUNDED IN THE
7 FIRST COHORT OF FOUR-YEAR PROJECTS THAT WERE BEGUN
8 IN 2010. AND THE GOAL OF THAT PROGRAM WAS TO FUND
9 THE PRECLINICAL WORK TO ACHIEVE THE FILING OF A
10 WELL-SUPPORTED IND WITH THE FDA TO ALLOW THOSE
11 FIRST-IN-HUMAN CLINICAL TRIALS TO ENTER PATIENTS.

12 AN UPDATE REVEALS THAT ONE DISEASE TEAM OF
13 THOSE 14 WAS TERMINATED IN MARCH 2012 FOR THEIR
14 INABILITY TO PASS THE GO/NO-GO MILESTONES. ONE
15 DISEASE TEAM, HOWEVER, SUCCEEDED IN FILING A
16 WELL-SUPPORTED IND THAT RECEIVED FDA APPROVAL IN
17 JUNE 2012 TO ENTER FIRST-IN-HUMAN CLINICAL TRIALS.
18 THE REMAINING 12 DISEASE TEAMS ARE UNDERGOING
19 ASSESSMENT BY CIRM WITH OUR EXTERNAL CLINICAL
20 DEVELOPMENT ADVISORY EXPERTS BETWEEN NOW AND THE END
21 OF THIS YEAR, AND I WILL BRING THE PROGRESS UPDATE
22 TO THIS BOARD IN EARLY 2013.

23 I WANT TO REFER YOU TO THE CURRENT UPDATED
24 TRANSLATIONAL PORTFOLIO THAT WAS PROVIDED TO YOU AS
25 A PREREAD WHICH SHOWS OUR CURRENT DISEASE TEAMS, OUR

BARRISTERS' REPORTING SERVICE

1 CURRENT EARLY TRANSLATION PROGRAMS AND PROJECTS, AND
2 ALSO INCLUDES THE RECOMMENDATIONS FROM THE GRANTS
3 REVIEW GROUP ON THE CURRENT COHORT DISEASE TEAM
4 THERAPY RESEARCH AWARDS BY THERAPEUTIC AREA AND BY
5 THERAPEUTIC APPROACH AND BY CLINICAL AREA.

6 YOU CAN SEE FROM LOOKING AT THAT PORTFOLIO
7 THAT IT INCLUDES VERY COMMON DISEASES, FOR EXAMPLE,
8 IN DIABETES AND HEART DISEASE, AND ALSO VERY RARE
9 DISEASES, INCLUDING THOSE IN PEDIATRIC CONDITIONS
10 INCLUDING SICKLE CELL ANEMIA AND ALSO VERY RARE
11 CONGENITAL SKIN DISEASES.

12 THIS NOW BRINGS ME TO THE CURRENT COHORT
13 BEING RECOMMENDED FOR THE DISEASE TEAM THERAPY
14 RESEARCH AWARDS. WE HAVE FUNDED AND WILL CONTINUE
15 TO FUND INITIATIVES THAT FOSTER THE ENGINE OF
16 DISCOVERY. THOSE HAVE BEEN FUNDED AND WILL CONTINUE
17 TO BE FUNDED IN THE FUTURE. THIS PARTICULAR DISEASE
18 TEAM THERAPY RESEARCH AWARD IS REALLY GEARED TO
19 THOSE TEAMS THAT HAVE THE STRONG SCIENCE, THAT HAVE
20 THE STRONG PLAN THAT IS REASONABLE AND ABLE TO BE
21 COMPLETED, AND THAT HAVE THE STRONG TEAM THAT HAS
22 THE REQUISITE EXPERTISE TO EXECUTE ON THEIR GOAL.

23 WE RECEIVED IN THIS ROUND 21 PROPOSALS.
24 OF THOSE 21, SIX ARE TRYING TO REACH THE IND STAGE.
25 I WAS ADVANCING THE SLIDES WHILE I WAS TALKING. SIX

BARRISTERS' REPORTING SERVICE

1 OF THESE ARE IND ENABLING IN WHICH THE TEAMS ARE
2 TRYING TO FILE THE IND TO ENTER FIRST IN HUMAN.
3 FOURTEEN ARE IND ENABLING AND ALSO PLAN TO COMPLETE
4 AN EARLY PHASE CLINICAL TRIAL, AND ONE PROPOSED THE
5 COMPLETION OF A PHASE II CLINICAL TRIAL. SIX CAME
6 FROM FOR-PROFITS AND 15 CAME FROM ACADEMICS. OF THE
7 21 PROPOSALS THAT WERE REVIEWED BY THE GRANTS REVIEW
8 GROUP, SIX ARE BEING RECOMMENDED FOR FUNDING, FIVE
9 FROM ACADEMIC AND ONE FROM A FOR-PROFIT. THEY'RE IN
10 A BROAD RANGE OF THERAPEUTIC APPROACHES, AND THEY'RE
11 LOOKING AT CLINICAL AREAS OF HIGH UNMET MEDICAL
12 NEED.

13 AND LOOKING BEYOND THIS PARTICULAR BOARD
14 MEETING, WE HAVE STRATEGIC PARTNERSHIP PROPOSALS
15 THAT ARE BEING SUBMITTED, THAT WERE SUBMITTED TO
16 CIRM IN JUNE THAT ARE BEING REVIEWED, AND THAT WILL
17 BE COMING BACK TO YOU IN OCTOBER WITH THE GRANT
18 REVIEW GROUP RECOMMENDATIONS FOR YOUR DECISION. AND
19 YOU'VE SET ASIDE ABOUT 30 MILLION TO LOOK AT THOSE
20 AWARDS.

21 IN ADDITION, IN NOVEMBER OF THIS YEAR, WE
22 PLAN TO POST NEW SOLICITATIONS FOR THE NEXT
23 ITERATION OF DISEASE TEAM AND STRATEGIC PARTNERSHIP
24 AWARDS.

25 SO I'M PROVIDING ALL THIS TO YOU AS A

BARRISTERS' REPORTING SERVICE

1 CONTEXT TO SHOW YOU REALLY THE DENOMINATOR OF THINGS
2 THAT WE'RE WORKING ON TO TRY AND FOSTER DEVELOPMENT
3 PROGRAMS THAT CAN REACH OUR GOAL. AS NOTED EARLIER,
4 THE PROCESS FOR ALL OF THESE INITIATIVES IS BUILT ON
5 A ROBUST PEER REVIEW AND PROGRAMMATIC INPUT FROM THE
6 PATIENT VOICE. AND THE GRANT REVIEW GROUP EXPERTISE
7 AND EXPERIENCE IS EXTENSIVE, AND IT SPANS
8 PRECLINICAL, MANUFACTURING, STEM CELL BIOLOGY,
9 DISEASE, CLINICAL EXPERTISE, PRODUCT DEVELOPMENT,
10 AND COMMERCIAL VIABILITY.

11 AND WE REALLY WANT TO COMMEND THE BOARD
12 FOR YOUR SUPPORT OF THE STRONG PEER REVIEW PROCESS
13 THAT WAS PUT TOGETHER UNDER YOUR GUIDANCE. SO WITH
14 ALL THAT AS CONTEXT, WHAT I'D LIKE TO DO NOW IS
15 PRESENT DR. SOHIL TALIB, WHO IS GOING TO BRING
16 FORWARD THE RECOMMENDATIONS FROM THE GRANT REVIEW
17 GROUP, REVIEWING THE OBJECTIVES OF THE INITIATIVE
18 AND THE REVIEW CRITERIA. AND I'D ALSO LIKE TO
19 ACKNOWLEDGE, WHILE HE'S COMING UP TO THE PODIUM, THE
20 TREMENDOUS WORK THAT'S BEEN DONE ON THIS INITIATIVE
21 BY THE ENTIRE CIRM SCIENCE OFFICE, PARTICULARLY DRs.
22 BETTINA STEFFEN, INGRID CARAS, KAREN BERRY, KEVIN
23 WHITTLESEA, AND ZACH *SHINER, THE SCIENCE REVIEW
24 OFFICE THAT'S BEEN LED BY DR. GIL SAMBRANO, AND
25 VALUED INPUT FROM DR. PAT OLSON, MATT PLUNKETT,

BARRISTERS' REPORTING SERVICE

1 ELONA BAUM, AND IAN SWEEDLER, AND ALL OF THIS, OF
2 COURSE, DONE WITH THE STRONG SUPPORT OF DR. ALAN
3 TROUNSON. SO NOW I'D LIKE TO INTRODUCE DR. TALIB.

4 DR. TALIB: THANK YOU, ELLEN. WHAT I
5 WOULD LIKE TO DO, MR. CHAIRMAN, MEMBERS OF THE
6 BOARD, IS PRESENT TO YOU THE RECOMMENDATIONS FROM
7 THE GRANTS WORKING GROUP FOR THE DISEASE TEAM
8 THERAPY DEVELOPMENT AWARD.

9 NOW, BEFORE I DO THAT, JUST TO GIVE YOU A
10 PERSPECTIVE, WE DECIDE IF IT FITS INTO OVERALL
11 PORTFOLIO OF CIRM. AS YOU KNOW, THAT CIRM FUNDS
12 RESEARCH AT ALL THE STAGES STARTING FROM BASIC
13 RESEARCH TO THE CLINICAL TRIALS.

14 NOW, DISEASE TEAM I AWARD, WHICH YOU
15 APPROVED IN 2010, BASICALLY COVERED BOTH THE AREAS
16 OF PRECLINICAL RESEARCH AS WELL AS PRECLINICAL
17 DEVELOPMENT. AND WE HAVE REALIZED IN LAST FEW YEARS
18 THAT FIELD OF STEM CELL RESEARCH HAS MOVED FORWARD
19 AND HAS ADVANCED AND MATURED. SO TAKING THAT INTO
20 CONSIDERATION, THE DISEASE TEAM II, WHICH WE CALL
21 DISEASE TEAM THERAPY DEVELOPMENT AWARD, WHICH IS THE
22 TOPIC OF DISCUSSION TODAY, WILL START WITH
23 PRECLINICAL DEVELOPMENT AND WILL END WITH SUBMITTING
24 CLINICAL TRIALS FOR SPECIFIC DISEASE INDICATIONS.
25 SO IT WILL COVER BOTH AREAS THAT IS STARTING A

BARRISTERS' REPORTING SERVICE

1 LITTLE BIT LATE, AND THEN WHERE DISEASE TEAM I WAS,
2 THAT IS, STARTING AT THE PRECLINICAL DEVELOPMENT AND
3 COMPLETING A PHASE I OR PHASE II CLINICAL TRIAL, SO
4 EARLY PHASE CLINICAL TRIALS.

5 SO JUST TO REMIND YOU, THE GOAL FOR THESE
6 AWARDS IS TO ACHIEVE WITHIN FOUR YEARS OF THE TIME
7 FRAME FOLLOWING SUBMIT A WELL-SUPPORTED IND FOR A
8 CLINICAL STUDY, AND/OR COMPLETE A PHASE I OR PHASE
9 II CLINICAL STUDY, AND/OR COMPLETE A PHASE II STUDY.

10 NOW, IN TERMS OF THE SCOPE, THE SINGLE
11 THERAPEUTIC CANDIDATE WHICH IS PROPOSED MUST MEET
12 THE FOLLOWING CRITERIA. THAT IS, THE CANDIDATE
13 DERIVED FROM OR UTILIZING HUMAN EMBRYONIC STEM
14 CELLS, IPS, NEURAL STEM CELLS, OR REPROGRAMMED OR
15 GENETICALLY ENGINEERED STEM CELLS. NOW, THE SMALL
16 MOLECULE OR BIOLOGICAL CANDIDATE THAT ARE
17 CHARACTERIZED OR GENERATED BY USING STEM CELLS ARE
18 ELIGIBLE. SO ARE THE CANDIDATES THAT TARGET CANCER
19 STEM CELL OR ENDOGENOUS STEM CELLS IN VIVO.
20 ENGINEERED FUNCTIONAL TISSUE CANDIDATE FOR
21 TRANSPLANTATION ARE ALSO ELIGIBLE FOR THESE AWARDS.

22 NOW, IN TERMS OF THE SUPPORTED ACTIVITIES
23 WHICH THIS AWARD WILL SUPPORT ARE THOSE PROJECTS
24 WHICH ARE BEGINNING WITH IND-ENABLING STUDIES. THIS
25 RFA WILL SUPPORT ALL NECESSARY ACTIVITIES WHICH ARE

BARRISTERS' REPORTING SERVICE

1 REQUIRED TO FILE A WELL-SUPPORTED IND AT THE END OF
2 THE FOUR YEARS. NOW, THOSE PROJECTS WHICH ARE
3 BEGINNING WITH CLINICAL STUDIES, THIS RFA WILL
4 SUPPORT ALL THE ACTIVITIES TO ENABLE A COMPLETION OF
5 AN EARLY PHASE CLINICAL TRIAL.

6 NOW, THE ACTIVITIES WHICH ARE OUTSIDE OF
7 THE SCOPE ARE THOSE THAT IS THE PIVOTAL CLINICAL
8 EFFICACY STUDIES LIKE PHASE III CLINICAL TRIALS AND
9 VERY EARLY TRANSLATION RESEARCH ACTIVITIES LIKE
10 IDENTIFICATION, CHARACTERIZATION OF A
11 DISEASE-MODIFYING ACTIVITY, WHICH ARE COVERED BY OUR
12 EARLY TRANSLATION AWARDS, AND YOU WILL BE HEARING
13 ABOUT IT LATER TODAY.

14 NOW, IN NEXT FEW SLIDES I'D LIKE TO
15 DESCRIBE TO YOU THE REVIEW CRITERIA WHICH THE GRANTS
16 WORKING GROUP USE TO EVALUATE THESE APPLICATIONS
17 WHICH WE RECEIVED. I SHOULD POINT OUT TO YOU THAT
18 THE DISEASE TEAM I AWARDS, WHICH IS NOW TWO YEARS
19 OLD, WE HAVE LEARNED SOME LESSONS. AND WE
20 SPECIFICALLY ASKED THE REVIEW COMMITTEE TO TAKE INTO
21 CONSIDERATION THOSE CRITERIA WHICH WE FOUND
22 PARTICULARLY USEFUL. SO THE REVIEW CRITERIA
23 INCLUDES THESE SEVEN CATEGORIES. THAT IS,
24 SIGNIFICANCE AND IMPACT, PROJECT RATIONALE,
25 DEVELOPMENT READINESS, FEASIBILITY OF THE PROJECT

BARRISTERS' REPORTING SERVICE

1 PLAN, QUALIFICATIONS OF PRINCIPAL INVESTIGATOR AND
2 THE DEVELOPMENT TEAM, COLLABORATIONS, AND IN SOME
3 CASES WHERE THE CONDITIONS WERE PUT ON SOME SPECIFIC
4 APPLICATIONS AT THE TIME OF THE PLANNING AWARDS. SO
5 WE ASKS GRANTS WORKING GROUP TO REVIEW THOSE
6 CONDITIONS AS WELL.

7 NOW, THE SIGNIFICANCE AND IMPACT IS
8 IMPORTANT REVIEW CRITERIA. WE ASKED THE REVIEW
9 PANEL TO SEE WHETHER THE TARGET PRODUCT PROFILE IS
10 SCIENTIFICALLY AND CLINICALLY REASONABLE. WE ASKED
11 THE GRANTS WORKING GROUP TO SEE WHETHER THE
12 APPLICATIONS WHICH ARE SUBMITTED, THEY HAVE OVERALL
13 DEVELOPMENT STRATEGIES WELL CONSIDERED, AND THERE IS
14 AN EVIDENCE THERE IS A COMMITMENT OF MOVING A
15 CANDIDATE THROUGH DEVELOPMENT TO THE PATIENTS AND
16 IT'S NOT FOR ANOTHER PUBLICATION. WE ASKED WHETHER
17 IF THIS PARTICULAR CANDIDATE CAN BE SUCCESSFULLY
18 DEVELOPED AND MADE AVAILABLE TO THE PATIENTS,
19 WHETHER IT WILL HAVE A SIGNIFICANT IMPACT ON THE
20 STANDARD OF CARE, AND IT'S NOT ANOTHER ME TOO
21 CANDIDATE. WE ASKED THE REVIEWERS TO LOOK AT THE
22 RESPONSIVENESS OF THOSE APPLICATIONS IN VIEW OF THE
23 RFA OBJECTIVES.

24 PROJECT RATIONALE IS IMPORTANT REVIEW
25 CRITERIA. AND THAT MUST BE STRONG, THAT THEY HAVE

BARRISTERS' REPORTING SERVICE

1 PROVIDED EVIDENCE THAT THERE IS STRONG SCIENTIFIC
2 RATIONALE WHICH IS SUPPORTED BY THE COMPELLING
3 PRECLINICAL STUDIES FOR THE PROPOSED THERAPEUTIC
4 INTERVENTION OF THE TARGET DISEASE OR INJURY WHICH A
5 PARTICULAR APPLICATION IS TARGETING.

6 THERAPEUTIC DEVELOPMENT READINESS IS AN
7 IMPORTANT REVIEW CRITERIA. THOSE PROJECTS WHICH ARE
8 BEGINNING WITH IND-ENABLING STUDIES, WE ASK THE
9 REVIEW PANEL TO SEE WHETHER THERE IS COMPELLING AND
10 REPRODUCIBLE PRECLINICAL DATA DEMONSTRATING THE
11 DISEASE-MODIFYING ACTIVITIES AND OTHER ATTRIBUTES
12 WHICH SPECIFICALLY SEES WHETHER THE PROGRAM OR THE
13 PROJECT IS, IN FACT, READY TO ENTER INTO PRECLINICAL
14 IND-ENABLING STUDIES.

15 WITH REGARD TO THE PROJECTS WHICH ARE
16 BEGINNING WITH CLINICAL STUDIES, WE ASK GRANTS
17 WORKING GROUP TO SEE WHETHER THE IND HAS BEEN FILED
18 AND THE FDA CORRESPONDENCE ARE INCLUDED IN THE
19 APPLICATIONS. AND IF THERE WAS A CLINICAL HOLD, THE
20 MAJOR ISSUES, IN FACT, HAVE BEEN RESOLVED. SINCE
21 THESE ARE ENTERING INTO THE CLINIC, WE SPECIFICALLY
22 ASKED WHETHER THE PRECLINICAL STUDIES HAVE BEEN
23 COMPLETED AND, OF COURSE, OTHER ACTIVITIES WHICH ARE
24 REQUIRED FOR A SUCCESSFUL TRANSLATION OF THESE
25 PROJECTS INTO THE CLINIC AND THOSE ACTIVITIES, IN

BARRISTERS' REPORTING SERVICE

1 FACT, HAVE BEEN COMPLETED.

2 FEASIBILITY OF THE PROJECT PLAN IS ANOTHER
3 IMPORTANT REVIEW CRITERIA. WE SPECIFICALLY ASKED
4 THE REVIEW PANEL TO SEE WHETHER THE APPLICANTS HAVE
5 PROVIDED PROJECT MILESTONES, THEY HAVE PROVIDED
6 GO/NO-GO DECISION POINTS, AND THE SUCCESS CRITERIAS
7 ARE VERY WELL DEFINED, AS WELL AS THE PROJECT
8 TIMELINES ARE COMPLETE AND REALISTIC.

9 WE ASKED GRANTS WORKING GROUP TO COMMENT
10 ON THE QUALIFICATIONS OF THE PRINCIPAL INVESTIGATOR
11 AND THE DEVELOPMENT TEAM AS WELL AS ON THE CLINICAL
12 INVESTIGATORS OF THE CLINICAL SITES WHERE THESE
13 CLINICAL TRIALS WILL TAKE PLACE.

14 THE COLLABORATION RESOURCES AND
15 ENVIRONMENT ARE IMPORTANT REVIEW CRITERIA. WE ASKED
16 GRANTS WORKING GROUP TO ASSESS WHETHER THE PRINCIPAL
17 INVESTIGATOR HAS PROVIDED EVIDENCE THAT THEY HAVE
18 ACCESS TO THE RELEVANT ASSETS WHICH ARE CRITICAL FOR
19 THE DEVELOPMENT OF A THERAPEUTIC CANDIDATE. THAT
20 INCLUDES INTELLECTUAL PROPERTY, MT AGREEMENTS,
21 LICENSES CROSS-REFERENCED TO THE DRUG, DEVICE, OR
22 MASTER FILES, AS WELL AS THE COLLABORATIONS WHERE
23 THERE IS EVIDENCE THAT COLLABORATIONS HAVE BEEN
24 DEVELOPED.

25 NOW, IN TERMS OF THE CLINICAL TRIALS, IN

BARRISTERS' REPORTING SERVICE

1 THE RFA, WHICH WE HAD ENCOURAGED THE APPLICANTS,
2 ESPECIALLY FROM THE INDUSTRY, TO PROVIDE EVIDENCE
3 THAT THEY HAVE, IN FACT, SECURED ADDITIONAL FUNDS TO
4 FINISH OR CARRY OUT THESE CLINICAL TRIALS. SO WE
5 ASKED THE REVIEW PANEL TO GIVE DUE CONSIDERATION FOR
6 THOSE APPLICANTS WHICH HAVE SECURED ADDITIONAL FUNDS
7 FOR THE CLINICAL TRIALS.

8 NOW, THE CONTRACT RESEARCH ORGANIZATIONS,
9 MANUFACTURING ORGANIZATIONS, AND CONSULTANTS ARE
10 REQUIRED. BECAUSE THESE PROJECTS ARE COMPLEX AND
11 REQUIRED PROFESSIONAL HELP, SO WE ASKED THE REVIEW
12 PANEL TO SEE WHETHER THEY, IN FACT, HAVE ALL THOSE
13 ACTIVITIES AND ALL THOSE COLLABORATIONS ALREADY
14 ESTABLISHED.

15 SO AS ELLEN POINTED OUT, IN THE REVIEW
16 PANEL WHICH REVIEWS THESE GRANTS, IT CONTAINS
17 EXPERTS FROM ALL DIFFERENT AREAS WHICH ARE IMPORTANT
18 FOR THESE TRANSLATIONAL AND CLINICAL AWARDS. SO
19 THAT INCLUDES EXPERTS WITH THE PRECLINICAL STUDIES
20 INCLUDING PRECLINICAL TOX, PHARMACOLOGY EXPERTISE,
21 AS WELL AS EXPERTS WHO HAVE KNOWLEDGE AND EXPERTISE
22 IN THE CMC, THAT'S CHEMISTRY MANUFACTURING CONTROLS,
23 AND EXPERTS WITH THE DISEASE IN THE CLINICAL AREA
24 WHICH WAS SPECIFIED IN A PARTICULAR APPLICATION, AS
25 WELL AS REGULATORY EXPERIENCE WHICH ARE REQUIRED TO

BARRISTERS' REPORTING SERVICE

1 SUBMIT THESE APPLICATIONS.

2 PRODUCT DEVELOPMENT, PEOPLE WHO HAD
3 EXPERIENCE FROM THE INDUSTRY EXPERIENCE IN TAKING
4 PRODUCTS ALL THE WAY TO COMMERCIALIZATION WERE
5 INCLUDED IN THE GRANTS WORKING GROUP PANEL.

6 SO THIS SLIDE ACTUALLY DESCRIBES THE SCORE
7 DISTRIBUTION OF THE 21 APPLICATIONS WHICH WERE
8 REVIEWED BY THE GRANTS WORKING GROUP USING THE
9 REVIEW CRITERIA WHICH I JUST DESCRIBED. NOW, THESE
10 21 APPLICATIONS WERE REVIEWED ON THE SCIENTIFIC
11 MERIT USING THE SCORE FROM ZERO TO ONE HUNDRED.
12 NOW, THOSE APPLICATIONS WHICH RECEIVED A SCORE OF 72
13 OR ABOVE WERE PUT ON TIER I. THOSE APPLICATIONS
14 WHICH RECEIVED A SCORE OF LESS THAN 57 WERE PLACED
15 IN TIER III AND THE REST IN TIER II.

16 AFTER THE PROGRAMMATIC DISCUSSION, THE
17 APPLICATIONS WHICH WERE TIER II WERE EITHER MOVED TO
18 TIER I OR TO TIER III. AND OUTCOME WAS THAT SIX
19 APPLICATIONS WERE RECOMMENDED FOR FUNDING BY THE
20 GRANTS WORKING GROUP, WITH A TOTAL BUDGET UP TO \$113
21 MILLION.

22 NOW, IN THE LAST FEW SLIDES I WILL
23 DESCRIBE TO YOU THE SIX PROJECTS WHICH WERE
24 RECOMMENDED BY THE GRANTS WORKING GROUP.

25 CHAIRMAN THOMAS: SOHIL, MR. JUELSGAARD

BARRISTERS' REPORTING SERVICE

1 HAS A QUESTION.

2 DR. JUELSGAARD: SO JUST A LITTLE BIT AGO
3 YOU REVIEWED A NUMBER OF THE REVIEW CRITERIA. YOU
4 OUTLINED THEM FOR US. THEY CAME IN SIX DIFFERENT
5 GROUPS. DID EACH AND EVERY APPLICATION THAT IS
6 BEING RECOMMENDED MEET EACH AND EVERY ONE OF THOSE
7 REVIEW CRITERIA?

8 DR. TALIB: SO THE WHOLE APPLICATIONS ARE
9 REVIEWED ON ALL THE SIX REVIEW CRITERIAS. THERE IS
10 NO WAY WHICH ON A PARTICULAR REVIEW CRITERIA. SO
11 THE APPLICATIONS WERE REVIEWED ON THE SIX CRITERIAS
12 AND ON THAT COMPOSITE BASIS WERE RECOMMENDED FOR
13 FUNDING. SO THERE WAS NO SPECIFIC REASON FOR
14 RECOMMENDING A PARTICULAR APPLICATION ON THE BASIS
15 OF A SINGLE REVIEW CRITERIA, IF THAT IS THE
16 QUESTION.

17 DR. JUELSGAARD: WELL, I GUESS I'M STILL
18 NOT CLEAR AS TO THE ANSWER, SO LET ME JUST ASK THE
19 QUESTION AGAIN. IT'S EITHER YES OR NO, AND THAT
20 WILL HELP. SO DID EACH AND EVERY ONE OF THE
21 APPLICATIONS THAT ARE BEING RECOMMENDED FOR APPROVAL
22 MEET EACH AND EVERY ONE OF THE REVIEW CRITERIA THAT
23 YOU OUTLINED? THAT'S EITHER A YES OR A NO.

24 DR. FEIGAL: YES, THAT EACH AND EVERY
25 CRITERIA THAT YOU SAW WERE CONSIDERED BY THE GRANTS

BARRISTERS' REPORTING SERVICE

1 REVIEW GROUP, AND THEY TOOK THAT INTO CONSIDERATION,
2 EACH AND EVERY CRITERIA, AS THEY ARRIVED AT A SCORE.

3 DR. JUELSGAARD: PERFECT. THANK YOU,
4 ELLEN.

5 DR. MELMED: YOU MENTIONED THE SCORE SHEET
6 THAT WE RECEIVED SHOWS 68 AS THE CUTOFF. CAN YOU
7 CLARIFY THAT, PLEASE?

8 DR. FEIGAL: LET ME CLARIFY.

9 DR. MELMED: WITH THE SCORE SHEET THAT WE
10 RECEIVED IN OUR PACKAGES THIS MORNING.

11 DR. FEIGAL: WHAT YOU RECEIVED, I DON'T
12 HAVE THAT PIECE OF PAPER RIGHT IN FRONT OF ME, BUT
13 OF THE SIX, WHAT HAPPENED IS, AS SOHIL MENTIONED,
14 SOME OF THE PROPOSALS WERE MOVED TO TIER I,
15 RECOMMENDED FOR FUNDING, OTHERS WERE MOVED TO TIER
16 III, AND THE PLACEMENT FOR WHAT IS FUNDABLE WAS, I
17 BELIEVE, 68 AND ABOVE.

18 CHAIRMAN THOMAS: I THINK THE ANSWER TO
19 THE QUESTION IS THAT THE PROPOSALS SCORED 68 AS A
20 RESULT OF THE PROCESS WAS RECOMMENDED FOR FUNDING.
21 SO THAT TIER II LINE PROPERLY AT THIS POINT SHOULD
22 BE -- GIL, NO.

23 DR. SAMBRANO: NO. SO WHAT HAPPENS IN
24 THIS PROCESS, THE GRANTS WORKING GROUP LOOKS AT THE
25 SCORE DISTRIBUTION INDEPENDENT OF KNOWING WHAT THE

BARRISTERS' REPORTING SERVICE

1 APPLICATIONS ARE. SO THEY VOTE AND DRAW A LINE, IN
2 THIS CASE AT 71. SO ABOVE 71 THEY'VE DETERMINED
3 THAT THESE ARE SCIENTIFICALLY MERITORIOUS BASED ON
4 THE SCORE ALONE. ANYTHING BELOW 56 WAS DEEMED TO
5 NOT BE MERITORIOUS. THOSE THAT FALL BETWEEN 56 AND
6 71 ARE TIER II, AND THOSE ARE OFTEN DISCUSSED.
7 DURING THE COURSE OF THE GRANTS WORKING GROUP, EACH
8 OF THOSE APPLICATIONS WERE EITHER PLACED INTO TIER
9 III OR INTO TIER I.

10 SO THE ONE THAT SCORED A 68 WAS ORIGINALLY
11 IN TIER II. IT WAS DISCUSSED AND RECOMMENDED TO BE
12 MOVED INTO TIER I, WHICH IT WAS.

13 CHAIRMAN THOMAS: THANKS AGAIN, DR.
14 SAMBRANO.

15 DR. TALIB: MR. SHEEHY WILL BE GOING
16 THROUGH THE SCORES A LITTLE BIT LATER ON AS WELL.

17 SO I WAS DESCRIBING THAT WHAT I WOULD LIKE
18 TO DO IN THE NEXT FEW SLIDES IS TO DESCRIBE TO YOU
19 THE SIX PROJECTS WHICH WERE RECOMMENDED FOR FUNDING
20 BY THE GRANTS WORKING GROUP.

21 THE NO. 1 DISEASE TEAM DISEASE, THE
22 DISEASE INDICATION FOR THIS PARTICULAR DISEASE TEAM
23 IS HUNTINGTON'S DISEASE. AND THE APPROACH THIS TEAM
24 IS TAKING IS ALLOGENEIC MESENCHYMAL STEM CELLS WHICH
25 ARE GENETICALLY ENGINEERED TO SECRETE BDNF TO TREAT

BARRISTERS' REPORTING SERVICE

1 HUNTINGTON'S DISEASE. AND THE GOAL OF THIS
2 PARTICULAR DISEASE TEAM IS AT THE END OF FOUR YEARS
3 FILE AN IND, CONDUCT AND COMPLETE OBSERVATIONAL
4 CLINICAL TRIAL, AND COMPLETE A PHASE I CLINICAL
5 TRIAL.

6 THE SECOND TEAM WHICH THE DISEASE
7 INDICATION FOR THE SECOND TEAM IS METASTATIC
8 MELANOMA. THE APPROACH THIS DISEASE TEAM IS TAKING
9 IS AUTOLOGOUS HEMATOPOIETIC STEM CELL WHICH ARE
10 GENETICALLY ENGINEERED TO REDIRECT THE PATIENT'S
11 IMMUNE RESPONSE AGAINST ADVANCE FROM THE AGGRESSIVE
12 SKIN CANCER. THE GOAL OF THIS DISEASE TEAM IS TO
13 FILE AN IND AND COMPLETE A PHASE I CLINICAL TRIAL.

14 NEXT DISEASE TEAM WHICH IS RECOMMENDED FOR
15 FUNDING, THE DISEASE INDICATION IS OSTEOPOROSIS.
16 AND THE APPROACH OF THIS TEAM IS TAKING A SMALL
17 MOLECULE, LLP2A, THAT ENDOGENOUS MESENCHYMAL STEM
18 CELLS TO THE BONE SURFACE TO FORM NEW BONE. THE
19 GOAL OF THIS DISEASE TEAM IS TO FILE AN IND AND
20 COMPLETE A PHASE I CLINICAL TRIAL.

21 NEXT DISEASE TEAM, THE DISEASE INDICATION
22 WHICH THIS PARTICULAR DISEASE TEAM IS TARGETING IS
23 CRITICAL LIMB ISCHEMIA. AND THE APPROACH IS TO USE
24 ALLOGENEIC MESENCHYMAL STEM CELLS WHICH ARE
25 GENETICALLY ENGINEERED TO PRODUCE VASCULAR

BARRISTERS' REPORTING SERVICE

1 ENDOTHELIAL GROWTH FACTOR OR VEG-F THAT PROMOTE
2 BLOOD VESSEL GROWTH FORMATION. AND GOAL, AGAIN, OF
3 THIS TEAM IS TO FILE AN IND AND COMPLETE A PHASE I
4 STUDY.

5 NEXT DISEASE TEAM, THE DISEASE INDICATION
6 IS CERVICAL SPINAL CORD INJURY. THE APPROACH THIS
7 TEAM IS TAKING IS AN ALLOGENEIC NEURAL STEM CELL
8 TRANSPLANTATION TO TREAT CHRONIC CERVICAL SPINAL
9 CORD INJURY. AND THE GOAL IS TO COMPLETE AND FILE
10 AN IND AT THE END OF FOUR YEARS.

11 NEXT DISEASE TEAM, AGAIN, THE DISEASE
12 INDICATION FOR THIS DISEASE TEAM IS HEART FAILURE.
13 THE APPROACH THIS DISEASE TEAM IS TAKING IS
14 ALLOGENEIC HEMATOPOIETIC STEM CELL-DERIVED
15 CARDIOMYOCYTES TO TREAT END-STAGE HEART FAILURE.
16 AND THE GOAL IS TO CARRY OUT IND-ENABLING STUDIES
17 AND AT THE END OF FOUR YEARS FILE A WELL-SUPPORTED
18 IND. AND I BELIEVE THIS IS THE LAST TEAM.

19 SO IN TERMS OF THE SUMMARY, AS I POINTED
20 OUT EARLIER, THERE ARE SIX PROPOSALS RECOMMENDED FOR
21 FUNDING AND TWO, AS ELLEN POINTED EARLIER, AND THE
22 GOAL IS TO FILE A WELL-SUPPORTED IND. FOUR OF THE
23 DISEASE TEAM, THEY WILL DO IND-ENABLING STUDIES AS
24 WELL, AND THEN THEY WILL COMPLETE EARLY PHASE
25 CLINICAL TRIALS, PHASE I OR PHASE II CLINICAL TRIAL.

BARRISTERS' REPORTING SERVICE

1 THE TOTAL BUDGET IS UP TO \$113 MILLION FOR THESE SIX
2 DISEASE TEAMS.

3 AND, MR. CHAIRMAN, THIS CONCLUDES MY
4 PRESENTATION, AND I'LL BE HAPPY TO ANSWER ANY
5 QUESTIONS WHICH THE BOARD MAY HAVE.

6 CHAIRMAN THOMAS: ANY QUESTIONS ON THIS
7 PRESENTATION? JOAN.

8 MS. SAMUELSON: THERE WAS A TIMELINE THAT
9 I THINK ELLEN, DR. FEIGAL, REVIEWED, BUT YOU
10 PROBABLY KNOW IT TOO. YOU HAD CERTAIN NUMBERS OF
11 GRANTS THAT WOULD BE ABLE TO COMPLETE AN
12 IND-ENABLING PROCESS. THERE WERE SIX, AND THEN I
13 THINK THERE WERE 14 IN LATER A CATEGORY. COULD YOU
14 REPEAT?

15 DR. FEIGAL: CAN YOU HEAR ME FROM HERE?
16 SO IT WASN'T REALLY A TIMELINE. WHAT I WAS TELLING
17 YOU IS THE DENOMINATOR OF THE 21 PROPOSALS THAT CAME
18 IN, AND OF THE 21 PROPOSALS, SIX WERE PROPOSING TO
19 COMPLETE THE FILING OF A WELL-SUPPORTED IND TO THE
20 FDA TO ALLOW THEM TO GO INTO FIRST-IN-HUMAN CLINICAL
21 TRIALS. FOURTEEN WERE GOING TO DO THE FILING PLUS
22 CONDUCT THE EARLY PHASE CLINICAL TRIALS. SO THEY
23 HAD A LATER MATURATION OF THE DEVELOPMENT OF THEIR
24 THERAPEUTIC CANDIDATE. AND ONE WAS AT A STAGE WHERE
25 THEY PROPOSE TO START AND COMPLETE A PHASE II

BARRISTERS' REPORTING SERVICE

1 CLINICAL TRIAL.

2 MS. SAMUELSON: FORGIVE ME, BUT COULD YOU
3 JUST REPEAT THAT ONE MORE TIME BECAUSE THAT'S A LOT
4 OF DATA. AND JUST A LITTLE MORE SLOWLY JUST REPEAT
5 THE SAME THING.

6 DR. FEIGAL: WHAT I CAN DO IS SUPPLY IT IN
7 WRITING.

8 MS. SAMUELSON: THIS IS THE PROPOSALS THAT
9 WERE RECEIVED; IS THAT RIGHT?

10 DR. FEIGAL: THOSE WERE THE PROPOSALS THAT
11 WERE RECEIVED.

12 MS. SAMUELSON: YOU ANALYZED THEM.

13 DR. FEIGAL: WE ANALYZED ALL 21. AND
14 ACTUALLY IF YOU LOOK AT YOUR -- IT IS ALSO IN YOUR
15 PREREAD. I JUST DID THE MATH. BUT BASICALLY OF THE
16 21 PROPOSALS THAT CAME IN, SIX WERE PROPOSING TO
17 FILE THE IND, 14 WERE PROPOSING TO FILE THE IND AND
18 COMPLETE AN EARLY PHASE CLINICAL TRIAL, AND ONE WAS
19 PROPOSING TO START AND COMPLETE A PHASE II CLINICAL
20 TRIAL. SO THAT ADDS UP TO 21.

21 CHAIRMAN THOMAS: MR. JUELSGAARD.

22 DR. JUELSGAARD: YES, ELLEN. SO THIS VERY
23 LAST SLIDE INDICATES A BUDGET OF AROUND \$113
24 MILLION. HOW RIGOROUSLY DO YOU TEST THE BUDGETS
25 THAT ARE BEING PROPOSED FOR THE WORK THAT'S TO BE

BARRISTERS' REPORTING SERVICE

1 DONE?

2 DR. FEIGAL: SO --

3 DR. JUELSGAARD: I DIDN'T SEE

4 THOSE LISTED, BY THE WAY, ON THE REVIEW CRITERIA.

5 THERE WASN'T ANYTHING ABOUT BUDGET REASONABLENESS.

6 I'M JUST CURIOUS.

7 DR. FEIGAL: WE ACTUALLY HAD A GOOD --

8 FIRST OF ALL, THE BUDGET WAS LOOKED AT BY THE

9 REVIEWERS AND COMMENTS WERE CAPTURED. IF YOU LOOK

10 AT YOUR SUMMARIES, WE TRIED TO CAPTURE THE COMMENTS

11 THAT WERE MADE BY THE REVIEWERS, THE GRANT

12 REVIEWERS, AND PUT THOSE FORWARD AS PART OF THE

13 SUMMARY THAT WE PROVIDED TO YOU.

14 WHAT WE'RE ACTUALLY PROPOSING TO DO IN THE

15 FUTURE IS TO TRY AND FORMALIZE THAT IN A MORE ROBUST

16 WAY THE BUDGET EVALUATION. BUT THESE BUDGETS ARE

17 LOOKED AT DURING THE TIME OF GRANT REVIEW. THEY ARE

18 ALSO LOOKED AT BEFORE MONEY GOES OUT THE DOOR WITH

19 SCIENCE STAFF WORKING WITH THE APPLICANTS TO GO OVER

20 THE BUDGET IN GREAT DETAIL AND DETERMINE THOSE ITEMS

21 THAT ARE APPROPRIATE FOR THE LEVEL OF ACTIVITY

22 THAT'S BEEN APPROVED.

23 DR. JUELSGAARD: SO JUST ONE FOLLOW-UP

24 QUESTION. ARE YOU PERSONALLY SATISFIED THAT THE

25 BUDGETS FOR EACH AND EVERY ONE OF THESE SIX

BARRISTERS' REPORTING SERVICE

1 APPLICATIONS ARE REASONABLE FOR THE WORK THAT'S TO
2 BE DONE?

3 DR. FEIGAL: AS AN AGENCY, I GUESS I WON'T
4 SPEAK AS THE INDIVIDUAL, BUT AS AN AGENCY, I THINK
5 WE DO FEEL THAT THE BUDGETS HAVE BEEN ASSESSED AND
6 EVALUATED. AND IN COMBINATION WITH THE WORK THAT
7 WILL CONTINUE TO TAKE PLACE BEFORE MONEY GOES OUT
8 THE DOOR, WE WILL HAVE A COMPLETE ASSESSMENT.

9 WE SHOULD NOTE THE CAVEAT THAT SAYS UP TO
10 113 MILLION BECAUSE THAT DOESN'T TAKE INTO ACCOUNT
11 THE CAVEATS THAT WERE RAISED AT THE TIME OF GRANTS
12 REVIEW AND THAT MAY ARISE DURING WHAT WE CALL
13 PREFUNDING ADMINISTRATIVE REVIEW. SO THAT IS THE
14 CEILING. AND THERE WILL BE THE OPPORTUNITY TO
15 MODIFY THAT BASED UPON OUR CONTINUED ASSESSMENT.

16 MR. JUELSGAARD: GREAT. THANK YOU.

17 MR. SHESTACK: DO YOU WANT TO JUST ADDRESS
18 WHAT THE COMMITTEE DISCUSSED LAST NIGHT IN TERMS OF
19 TRYING TO BUILD IN BUDGETARY REVIEW INTO THE PROCESS
20 BECAUSE IT IS A CONCERN SOME PEOPLE HAVE.

21 CHAIRMAN THOMAS: MR. SHEEHY.

22 MR. SHEEHY: SURE. AND SHOULD I JUST FLOW
23 INTO THAT THE DISCUSSION OF THE PROGRAMMATIC REVIEW?

24 CHAIRMAN THOMAS: YES. THAT WOULD BE
25 GOOD. SO MR. SHEEHY IS GOING TO ANSWER MR.

BARRISTERS' REPORTING SERVICE

1 SHESTACK'S QUESTION, AND THEN HE IS GOING TO GO NEXT
2 TO A DISCUSSION OF THE PROGRAMMATIC REVIEW ELEMENTS
3 OF THE GRANTS WORKING GROUP AND GIVE SOME STATEMENTS
4 AS TO THOUGHTS ON SOME OF THE PROPOSALS.

5 MR. SHEEHY: AND I DID WANT TO NOTE, PER
6 MR. JUELSGAARD'S QUESTION, THAT THESE ARE
7 MILESTONE-DRIVEN GRANTS. AND WE HAVE, I THINK, A
8 VERY ROBUST PROCESS FOR EVALUATING HOW THESE GRANTS
9 PROCEED THROUGH THEIR MILESTONES. AND GRANTS ARE
10 ENDED, AS WE KNOW, IF THEY DON'T MEET THEIR
11 MILESTONES. I KNOW THAT LOOKS LIKE A VERY BIG
12 NUMBER. BUT I DO HAVE TO COMPLIMENT STAFF ON THEIR
13 WORK IN TRYING TO MAKE SURE THAT THE MONEY IS WELL
14 SPENT AND THAT WE GET GOOD SCIENCE AND GOOD RESULTS
15 FOR PATIENTS AT THE END OF THE DAY WITHOUT
16 NEEDLESSLY SPENDING MONEY.

17 NOW, LAST NIGHT WE HAD A DISCUSSION. WHEN
18 WE ORIGINALLY SET UP THE PEER REVIEW PROCESS, WE DID
19 NOT INCLUDE BUDGETS AS ONE OF THE CRITERIA FOR
20 EVALUATING THE GRANTS. SO WHAT WE'RE DOING NOW, AND
21 AFTER HAVING SAT THROUGH GOING ON EIGHT YEARS --
22 WELL, SIX YEARS OF PEER REVIEW WHERE REVIEWERS HAVE
23 MENTIONED FROM TIME TO TIME THAT THEY THOUGHT THE
24 BUDGETS WERE EXCESSIVE, WE WERE PUTTING IN PLACE --
25 WE'RE IN THE INITIAL STAGES, WORKING WITH STAFF, IN

BARRISTERS' REPORTING SERVICE

1 PUTTING IN A PROCESS BY WHICH WE CAN GET REVIEWER'S
2 SPECIFIC DIRECTION ON SPECIFIC BUDGET ITEMS SO THAT
3 STAFF CAN APPROPRIATELY TRIM THE BUDGETS.

4 WE CAN ATTACH CONDITIONS TO BUDGETS, FOR
5 INSTANCE, AND I CAN TALK ABOUT THAT AS PART OF
6 PROGRAMMATIC REVIEW. BUT THIS WAY WILL GIVE US A
7 LITTLE BIT OF FINE-TUNING.

8 I THINK WHEN WE INITIALLY STARTED DOING
9 THIS, BOTH THE LEVEL OF GRANTS AND THE TYPE OF
10 FUNDING THAT WE WERE DOING, WE WERE NOT PREPARED AT
11 THAT TIME, I THINK, TO REALLY SERIOUSLY ADDRESS
12 BUDGETS. BUT I THINK BY THE END OF THE PROCESS THAT
13 WE STARTED ON LAST NIGHT, WE'LL BOTH HAVE A GOOD
14 PROCESS FOR EVALUATING THE BUDGETS AND WE WILL ALSO
15 BE ABLE TO SUPPORT STAFF. AS DR. FEIGAL NOTED,
16 STAFF HAS THE ABILITY NOW TO LOOK AT BUDGETS, BUT
17 IT'S KIND OF DIFFICULT. AFTER WE'VE APPROVED A
18 GRANT WITH A SPECIFIC BUDGET, THE POWER RATIO
19 BETWEEN THE GRANTEE AND THE ADMINISTRATION OF THE
20 GRANTS IS A LITTLE BIT SKEWED.

21 WE'VE SAID YOU'RE GOING TO GET ALL THIS
22 MONEY, AND THEN THEY SAY, WELL, MAYBE NOT ALL OF IT,
23 AND I THINK IT MAKES IT CHALLENGING FOR STAFF. SO
24 WE WANT TO BE ABLE TO SUPPORT STAFF WITH GOOD, SOLID
25 EVIDENCE AND WITH THE PEER REVIEWERS' STRONG

BARRISTERS' REPORTING SERVICE

1 DIRECTION THAT THE BUDGETS ARE APPROPRIATE FOR THE
2 TASKS THAT ARE BEING DONE.

3 SO DOES THAT KIND OF BRING US WHERE WE
4 ARE? WE SHOULD HAVE SOMETHING --

5 DR. LUBIN: SO I THINK THAT'S A GOOD IDEA.
6 SO I'M SURE MOST OF YOU, IF NOT ALL OF YOU, KNOW AT
7 NIH THE BUDGETS ARE REVIEWED BY REVIEWERS, BUT THE
8 DECISION REGARDING THE SCIENCE IS DONE SEPARATE FROM
9 THE BUDGET. SO IF SOMEONE HAD A BUDGET THAT WAS
10 FOUR TIMES SOMETHING ELSE, THEY'D SAY WE DON'T WANT
11 TO DO THAT BECAUSE WE COULD REALLY DO THIS OTHER
12 THING, BUT IT'S THE SCIENCE THAT DRIVES IT. AND
13 THEN AFTER A SCORE IS GIVEN, THE BUDGET IS CAREFULLY
14 REVIEWED TO BE SURE IT'S WELL JUSTIFIED. AND I
15 SUSPECT WE'RE GOING TO DO THE SAME, BUT I THINK
16 OBVIOUSLY A BUDGET REVIEW IS CRITICAL, BUT IT
17 SHOULDN'T IMPAIR THE QUALITY OF THE SCIENCE IN THE
18 APPLICATION.

19 MR. SHEEHY: YOU BASICALLY HAVE
20 RECAPITULATED ALMOST THE EXACT DISCUSSION WE HAD
21 LAST NIGHT.

22 DR. LUBIN: I'M SORRY I COULDN'T COME LAST
23 NIGHT.

24 MR. SHEEHY: I WAS GOING TO SAY, DR.
25 LUBIN, WE'RE BRINGING BACK WHATEVER PROPOSAL IS

BARRISTERS' REPORTING SERVICE

1 PREPARED BY STAFF WITH MUCH OF THE DIRECTION THAT
2 YOU JUST ENUNCIATED. WE'RE BRINGING THAT BACK TO
3 THE SCIENCE SUBCOMMITTEE. SO YOU WILL HAVE AN
4 OPPORTUNITY ALONG WITH THE OTHER MEMBERS TO REALLY
5 GO OVER THIS WITH A FINE-TOOTHED COMB.

6 SO NOW THAT -- I WAS GOING TO LEAD INTO A
7 DISCUSSION OF PROGRAMMATIC REVIEW JUST TO BE CLEAR
8 ON WHAT HAPPENS. SO FIRST, WE GET THE SCIENTIFIC
9 SCORES AND WE HAD THE HISTOGRAM UP. AND TO BE
10 CLEAR, THE REASON WE DO THOSE FIRST CUTS IS IN ORDER
11 TO ALLOW THE GROUP TO SPEND THEIR TIME ON GRANTS
12 THAT MAY HAVE MERIT, BUT HAVE NOT REALLY CLEARLY
13 FALLEN WITHIN A SCORE RANGE OF FUNDABLE OR NOT
14 FUNDABLE. BECAUSE OF OUR CONFLICTS ISSUES, WE ONLY
15 WANT TO DISCUSS GRANTS WITH PEOPLE IN THE ROOM WHO
16 ARE WITHOUT CONFLICT.

17 SO IN TERMS OF TIME, IT REALLY BENEFITS US
18 TO TAKE THE GRANTS WITH MERIT CLEARLY OFF THE TABLE.
19 IT DOESN'T MEAN THAT ONCE WE IDENTIFY THEM, WE CAN'T
20 BRING THEM BACK INTO PLAY, BUT IT REALLY ALLOWS US
21 TO SPEND MOST OF OUR TIME WITH THOSE GRANTS THAT ARE
22 ON THE EDGE, WHICH DON'T ALWAYS GET PULLED UP IN
23 NUMERICAL RANK. IT JUST SO HAPPENS AT THIS
24 PARTICULAR TIME THE ONE GRANT THAT WAS MOVED UP
25 DURING PROGRAMMATIC REVIEW HAPPENED TO BE THE NEXT

BARRISTERS' REPORTING SERVICE

1 SCORING GRANT. BUT THERE IS, AS PEOPLE WILL KNOW,
2 THEY'VE BEEN PULLED UP NOT NECESSARILY IN THAT ORDER
3 BEFORE.

4 AND SOME OF THE CONSIDERATIONS THAT COME
5 INTO PLAY IN PROGRAMMATIC REVIEW ARE SPECIFICALLY
6 DISEASES THAT WE'RE TACKLING TO MAKE SURE THAT WE
7 HAVE BREADTH IN OUR PORTFOLIO IN THE DISEASES THAT
8 WE ARE TACKLING. SOME DISEASES FOR A LOT OF REASONS
9 ARE NOT -- DON'T RECEIVE THE FUNDING THAT OTHER
10 DISEASES DO. AND IF WE HAVE AN OPPORTUNITY TO
11 ADVANCE SOME SCIENCE THAT HAS SOME MERIT, MIGHT NOT
12 BE PERFECT, BUT IT CAN MAKE A DIFFERENCE IN A
13 DISEASE, WE WANT TO BE ABLE TO DO THAT. AND I THINK
14 THAT THAT'S A FEATURE OF OUR PROGRAM THAT'S BEEN A
15 BENEFIT.

16 THAT'S WHY PATIENT ADVOCATES ARE IN THE
17 ROOM. WE DO NOT REREVIEW THE SCIENCE. THE SCORES
18 ARE FIXED. SO THE SCORES ARE NOT CHANGED, BUT WE DO
19 LOOK AT DISEASE OR CONDITION AS ONE ASPECT. ANOTHER
20 MIGHT BE APPROACH. SOME APPROACHES ARE INHERENTLY
21 MORE RISKY. AND CERTAINLY, AS WE KNOW, BASED ON HOW
22 WE WERE ESTABLISHED, PLURIPOTENT APPROACHES STILL
23 DON'T HAVE A VERY CLEAR AND CLEAN PATHWAY TO THE
24 CLINIC. THERE'S A LOT OF CONCERNS ABOUT THOSE
25 APPROACHES. AND FROM TIME TO TIME WE'VE MOVED

BARRISTERS' REPORTING SERVICE

1 APPLICATIONS UP BECAUSE WE WANT TO ENCOURAGE THESE
2 APPROACHES. WE'RE UNIQUELY PLACED WITH THE ABILITY
3 TO MOVE SOME OF THOSE THINGS FORWARD.

4 NOW, I THINK THAT THE PROGRAMMATIC REVIEW
5 FOR THIS PARTICULAR CYCLE WAS WELL DONE, AND I
6 THOUGHT IT WAS A VERY, VERY SPIRITED AND VIGOROUS
7 REVIEW ALTOGETHER. AS YOU CAN SEE, THEY WERE VERY
8 COMPLEX APPLICATIONS. AND THE DILIGENCE OF STAFF
9 AND THE REVIEWERS IN TACKLING THE GRANTS I REALLY
10 COMMEND BOTH. IT'S A TON OF WORK TO DO SO.

11 DID YOU HAVE A QUESTION?

12 DR. PIZZO: THANKS, JEFF. AND I THINK
13 YOU'VE GIVEN A VERY HELPFUL DESCRIPTION OF THE
14 PROCESS, WHICH, I'M SURE, IS ENORMOUSLY DIFFICULT
15 AND COMPLICATED. SO THANK YOU FOR THAT. I HAVE
16 SORT OF JUST A GENERIC NUMERICAL QUESTION, AND IT IS
17 THE FOLLOWING. IN TERMS OF THE REVIEWS THAT ARE
18 DONE PER GRANT OR APPLICATION, ON AVERAGE HOW MANY
19 ARE THERE? AND IS THERE AN EQUALIZATION OF THE
20 NUMBERS PER SUBMISSION?

21 AND THE REASON I'M ASKING THAT IS, AS I
22 SCAN THE DATA LOOKING AT THIS, WITHOUT EVEN
23 RECONCILING IT TO THE MERIT OF THE GRANT, THERE
24 SEEMS TO BE A CONSIDERABLE SPREAD IN TERMS OF THE
25 SCORES LOW AND HIGH. THEY'RE TIGHTER IN THE FIRST

BARRISTERS' REPORTING SERVICE

1 SIX GROUPS. THERE ARE HIGHER NUMBERS OVERALL. WHEN
2 YOU GET TO THE NEXT GROUP, THEY VARY A LOT. THE
3 HIGHS ARE LOWER AND THE LOWS ARE REALLY LOWER, BUT
4 THERE'S A RANGE BETWEEN THEM.

5 FROM A PURELY METHODOLOGICAL POINT OF
6 VIEW, I'M QUERYING IN THOSE WHERE YOU'VE GOT A
7 HIGH-LOW SCORE, DO YOU RECONCILE THE NUMBER OF
8 RATERS PER GRANT SO THAT THAT COMES INTO ACCOUNT?

9 AND SECONDLY, AS JUST SORT OF AN INTEREST
10 SCORE, HAVE YOU EVER LOOKED AT THE INTERRATER
11 VARIANCE AS AN INDEPENDENT VARIABLE? MEANING THE
12 LOW PEOPLE, LOW SCORES GENERALLY CONVEY FROM CERTAIN
13 INDIVIDUALS WHO MORE LOWLY RANK, OR IS THERE A
14 SPREAD AMONG THEM? BECAUSE IT'S HARD TO UNDERSTAND
15 HOW WE SEE SO MUCH IN THE VARIANCE.

16 MR. SHEEHY: WELL, FIRST OF ALL, THE 15
17 SCORERS SCORE EVERY GRANT. AND THEN TO GO TO YOUR
18 SECOND QUESTION, I MAY NOT HIT THIS EXACTLY RIGHT.
19 WHEN WE UNBLIND AND WE LOOK AT THE ACTUAL GRANTS, WE
20 GET THIS INFORMATION THAT YOU HAVE. SO THE STANDARD
21 DEVIATION. SO WE GET THE AVERAGE, THE MEDIAN, AND
22 THE STANDARD DEVIATION. AND WHEN WE SEE A HIGH
23 STANDARD DEVIATION IN PROGRAMMATIC REVIEW, THAT
24 ALMOST CERTAINLY CAUSES A DISCUSSION TO TAKE PLACE.
25 AND I THINK SOMETIMES THAT CAN BE -- IF YOU HAD A

BARRISTERS' REPORTING SERVICE

1 DISEASE THAT IS FAIRLY DIFFICULT TO TACKLE WITH AN
2 APPROACH THAT'S FAIRLY DIFFICULT TO OPERATIONALIZE,
3 LIKE AN EMBRYONIC STEM CELL OR IPS CELL APPROACH IN
4 A DISEASE THAT'S HARD TO TACKLE, AND YOU HAD A HIGH
5 STANDARD DEVIATION, THAT MIGHT BE SOMETHING WHERE
6 YOU MIGHT THINK -- CLEARLY WHAT DRIVES THOSE
7 DEVIATIONS TEND TO BE THE ACTUAL REVIEWERS. AND YOU
8 WILL HAVE SOMEONE WHO'S REALLY ENTHUSIASTIC ABOUT
9 THE GRANT AND SOMEONE WHO DOESN'T BELIEVE IT WILL
10 WORK. AND THESE WILL BE EXPERTS IN THE FIELD WHO
11 JUST SIMPLY DON'T AGREE.

12 AND THAT'S WHERE PROGRAMMATICALLY MANY
13 TIMES WE MAKE THE DECISION AS AN AGENCY THIS IS
14 WHERE SOMETIMES WE WANT TO TAKE THOSE RISKS BECAUSE
15 IT WILL MAKE A DRAMATIC DIFFERENCE IN THE LIVES OF
16 PATIENTS. SOMETIMES THAT'S WHERE THE GREAT SCIENCE
17 IS. A LOT OF PEOPLE DON'T THINK IT CAN BE DONE, BUT
18 THERE'S SOMEBODY WHO THINKS IT DOES.

19 AGAIN, WE ALWAYS WANT TO MAINTAIN THE
20 INTEGRITY OF THE SCIENTIFIC REVIEW. IF SOMEBODY IS
21 GIVING IT A VERY SOLID FUNDING SCORE AND BELIEVES IN
22 THE SCIENCE AND WAS A REVIEWER WHO CLEARLY LOOKED AT
23 THE GRANT CAREFULLY, WE DON'T REREVIEW THE SCORES,
24 WE DON'T REREVIEW THE SCIENCE, BUT THOSE OTHER
25 CONSIDERATIONS, THEN, WE CAN REALLY BRING TO BEAR

BARRISTERS' REPORTING SERVICE

1 WITH SOME CONFIDENCE THAT WE HAVEN'T UNDERMINED THE
2 INTEGRITY OF THE PROCESS AND THAT THE QUALITY OF THE
3 PROJECT CAN BE THERE ULTIMATELY. DOES THAT MAKE
4 SENSE?

5 DR. PIZZO: YES, IT DOES. AND I'M
6 ACTUALLY ASSURED BY THE FACT THAT YOU ARE LOOKING AT
7 THAT STANDARD DEVIATION BECAUSE MANY PEOPLE WOULD
8 SAY THAT SOME OF THE MOST IMPORTANT SCIENTIFIC
9 DISCOVERIES HAVE GOTTEN THE LOWEST GRANT SCORES OR
10 NOT EVEN BEEN FUNDED. SO THE FACT THAT YOU'RE
11 ACTUALLY LOOKING AT THAT VARIANCE AND QUESTIONING IT
12 IS A REALLY GOOD THING. THANK YOU.

13 MR. ROTH: SO THIS IS A REPEAT COMMENT
14 THAT I MADE AFTER DISEASE TEAM I, THAT WHEN I THINK
15 ABOUT IND-ENABLING AND CLINICAL TRIALS, I ASSOCIATE
16 THAT WITH THE EXPERTISE OF INDUSTRY IN GENERAL. AND
17 I GUESS, ONCE AGAIN, I'M DISAPPOINTED THAT SO FEW
18 INDUSTRY PROPOSALS ACTUALLY ENDED UP. I THINK WE
19 HAVE ONE, IF I HEARD CORRECTLY, ONE INDUSTRY
20 PROPOSAL.

21 SO A QUESTION TO YOU, JEFF, AND TO THE
22 STAFF IN GENERAL. WERE THERE COMMONALITIES THAT
23 CAUSED THIS FALLOUT RATE TO TAKE PLACE? ONE OTHER
24 CLARIFICATION. HOW MANY PRE-APPS WERE THERE,
25 PREAPPLICATIONS?

BARRISTERS' REPORTING SERVICE

1 DR. FEIGAL: DO YOU WANT ME TO ANSWER THAT
2 QUESTION? I BELIEVE THERE WERE 19 PLANNING AWARDS
3 THAT WERE ELIGIBLE TO SUBMIT A PROPOSAL, AND THEN
4 THE REMAINDER -- GIL, YOU HAVE EXACT NUMBERS THAT
5 YOU CAN GIVE NOW.

6 DR. SAMBRANO: THERE WAS NO PRE-APP
7 PROCESS. SO THE PLANNING AWARDS WERE DONE IN LIEU
8 OF PREAPPLICATIONS. SO THERE WERE --

9 MR. ROTH: HOW MANY INDUSTRY WERE IN THE
10 PLANNING AWARDS THEN?

11 DR. SAMBRANO: SO THERE'S SIX TOTAL THAT
12 CAME IN VIA THE FINAL BOUT. I NEED TO LOOK UP THE
13 NUMBER THAT WERE THROUGH THE PLANNING AWARD. IT
14 WAS, SAY, FOUR.

15 MR. ROTH: I WANT TO POINT THAT OUT, THAT
16 THERE'S SOMETHING HAPPENING IN GENERAL. I'M NOT
17 THERE, SO I DON'T UNDERSTAND WHY THESE DON'T GET
18 THROUGH. MAYBE YOU COULD COMMENT, JEFF.

19 MR. SHEEHY: WE HAD SEVERAL REVIEWERS WITH
20 SIGNIFICANT INDUSTRY EXPERIENCE. SO AT LEAST IN
21 THIS PARTICULAR ROUND, I DON'T BELIEVE THAT THERE
22 WAS A BIAS AGAINST INDUSTRY. AND I WOULD NOTE THAT
23 SIMULTANEOUS WITH THIS WE HAVE THE STRATEGIC
24 PARTNERSHIP OPPORTUNITY, WHICH I THINK THERE MAY
25 HAVE BEEN -- THAT SOME FOLKS MAY HAVE DECIDED THAT

BARRISTERS' REPORTING SERVICE

1 THEY PREFERRED TO BE IN THAT ROUND AS OPPOSED TO
2 THIS ROUND. DR. FEIGAL HAS A COMMENT.

3 DR. FEIGAL: I JUST WANT TO COMMENT THAT
4 100 PERCENT OF THE PROPOSALS FOR THE STRATEGIC
5 PARTNERSHIP ARE COMING FROM INDUSTRY.

6 MR. SHEEHY: I BELIEVE THAT IS HIGHLY
7 OVERSUBSCRIBED. AND I HOPE THAT IF WE FIND GOOD
8 PROPOSALS, THAT -- I THINK THAT WILL BALANCE OUT.
9 THIS HAD A RELATIVELY LARGE BUDGET, AND THE
10 STRATEGIC OPPORTUNITY HAD A RELATIVELY LOW BUDGET.
11 AND I HAVE A FEELING AT THE END OF THE DAY THAT
12 THEY'RE GOING, BETWEEN THE TWO OF THEM, TO FIND
13 THEIR LEVEL.

14 DR. TROUNSON: SO, JEFF, I THINK IT WAS
15 ONE OUT OF FOUR VERSUS ONE OUT OF THREE. I DON'T
16 THINK THIS IS REALLY STATISTICALLY SIGNIFICANT AT
17 THAT LEVEL. ONE WOULD HOPE IT WOULD IN TIME BE
18 BALANCED, BUT IT WAS ONE OUT OF THREE VERSUS ONE OUT
19 OF FOUR. ONE OUT OF THREE NOT-FOR-PROFITS AND ONE
20 OUT OF FOUR FOR THE FOR-PROFITS.

21 MR. SHEEHY: DR. LUBIN.

22 DR. LUBIN: SO I WAS JUST CURIOUS IF YOU
23 COULD COMMENT ON THE PREAPPLICATION SCORES OR LEVEL
24 OF ENTHUSIASM AND THE FINAL SCORES.

25 MR. SHEEHY: YOU MEAN THE PLANNING GRANT

BARRISTERS' REPORTING SERVICE

1 SCORES? WELL, YOU KNOW, THAT I'D HAVE TO GO BACK
2 AND LOOK AT IT. I JUST REALLY GOT ALERTED TO THAT,
3 I THINK, LOOKING AT THE BLOGGER, THAT THERE WAS A
4 DISCREPANCY THERE. I WILL SAY THAT THERE'S A
5 CONSIDERABLE DIFFERENCE BETWEEN THE TWO
6 APPLICATIONS. AND ONE IS RELATIVELY BRIEF, AND
7 CERTAINLY THESE FINAL APPLICATIONS, YOU NEED A
8 PLANNING AWARD, I THINK, IN MANY INSTANCES TO BE
9 ABLE TO PULL TOGETHER JUST ALL THE PAPER ETC., ETC.
10 IT TAKES TO PULL THESE TOGETHER.

11 DR. FEIGAL: I WANT TO SAY THAT OUR
12 SCIENCE OFFICERS HAVE ACTUALLY LOOKED AT THAT IN
13 TERMS OF THE PLANNING AWARDS IN RELATIONSHIP TO THE
14 ACTUAL RESEARCH AWARD. AND I THINK IN SOME
15 INSTANCES THERE MIGHT HAVE BEEN CONCORDANCE AND
16 OTHERS THERE WAS NOT. IT DEPENDED SOME WHETHER THE
17 ADVICE WAS TAKEN AND/OR WHETHER OTHER THINGS
18 HAPPENED.

19 BUT AS JEFF VERY CLEARLY POINTED OUT, VERY
20 DIFFERENT CONTENT OF APPLICATIONS BETWEEN WHAT'S IN
21 A PLANNING AWARD AND WHAT COMES IN A RESEARCH AWARD.
22 WE'LL ACTUALLY BE BRINGING THAT UP TO YOU WHEN WE
23 PRESENT THE NEXT SET OF CONCEPTS.

24 DR. JUELSGAARD: SO THIS IS A QUESTION
25 BOTH FOR YOU, JEFF, AND FOR YOU, DR. FEIGAL. SO IN

BARRISTERS' REPORTING SERVICE

1 THE REVIEW CRITERIA, UNDER THE PROJECTS BEGINNING
2 WITH IND-ENABLING STUDIES, THE FOURTH ONE THAT'S
3 MENTIONED IS ARTICULATED DEVELOPMENT STAGE
4 APPROPRIATE REGULATORY STRATEGY. AND I NOTE THAT ON
5 THE SLIDE THAT HAS THE WORKING GROUP EXPERTISE,
6 THERE'S A REGULATORY EXPERTISE.

7 AND THIS IS A FOLLOW-UP SORT OF ON DR.
8 PIZZO'S QUESTION, BUT IN A LITTLE DIFFERENT FASHION.
9 SO IF THE PEOPLE WITH REGULATORY EXPERTISE REALLY
10 GRADE THAT PARTICULAR APPLICATION LOW, WHEREAS
11 EVERYTHING ELSE WERE GRADED ESPECIALLY HIGH, WOULD
12 THE FAILURE OR THE LOW GRADE ON THE PART OF THE
13 REGULATORY EXPERTISE PEOPLE ON THE REGULATORY FRONT
14 DISQUALIFY THAT APPLICATION?

15 DR. FEIGAL: TO ADDRESS YOUR QUESTION, LET
16 ME ANSWER IT THIS WAY. THE SCORES, THERE'S A
17 PRELIMINARY SCORE THAT EACH REVIEWER MAY LOOK AT IN
18 ISOLATION. AND THEY MAY FOCUS A LOT OF THEIR
19 ATTENTION IN THAT AREA WHERE THEY HAVE THE MOST
20 EXPERTISE. BUT BEFORE THEY PROVIDE THEIR FINAL
21 SCORE, THEY LISTEN TO ALL THE OTHER REVIEWERS'
22 COMMENTS ON OTHER ASPECTS IN THEIR PARTICULAR AREA
23 OF EXPERTISE, AND THEY MAY IN MANY INSTANCES ALTER
24 THEIR SCORE TO TAKE INTO ACCOUNT OTHER STRENGTHS AND
25 WEAKNESSES ON A COMPOSITE AREA OF WHAT THE ISSUES

BARRISTERS' REPORTING SERVICE

1 ARE.

2 HOWEVER, IF THEY FEEL THERE'S A REAL FATAL
3 FLAW OR SERIOUS, EGREGIOUS ISSUE, THEY MAY VERY WELL
4 KEEP THAT SCORE LOW BECAUSE THEY SEE THAT AS A DEAL
5 BREAKER IN TERMS OF MOVING THE PROGRAM FORWARD.

6 I CAN'T GIVE YOU A BLACK-AND-WHITE YES OR
7 NO. IT'S A NUANCED ANSWER TO YOUR QUESTION.

8 MR. SHEEHY: BUT, AGAIN, TO REPEAT DR.
9 FEIGAL'S POINT, THE REVIEWERS STATE THEIR INITIAL
10 SCORE. AND THROUGH THE DISCUSSION, THE REGULATORY
11 INDIVIDUALS DO, I THINK, HAVE CONSIDERABLE WEIGHT.
12 AND TO GIVE YOU AN EXAMPLE OF JUST AN ALMOST TRIED
13 EXAMPLE, BUT HAS COME UP, IF YOU HAVEN'T PICKED THE
14 RIGHT ANIMAL MODEL IN WHICH TO TEST YOUR PRODUCT,
15 THAT SAYS A LOT. AND THE REGULATORY SPECIALIST SAYS
16 THE NIH -- FIRST OF ALL, I JUST WANT TO GIVE
17 ENORMOUS CREDIT TO STAFF FOR BRINGING IN, I THINK,
18 WHAT ARE REALLY TOP-FLIGHT REGULATORY SPECIALISTS TO
19 ADVISE US ON THESE GRANTS. THEY'VE REALLY DONE A
20 GREAT JOB WITH THIS, AND I AM IMPRESSED EVERY TIME I
21 HEAR THESE DISCUSSIONS.

22 WHEN THEY SIT THERE AND THEY SAY THE FDA
23 REQUIRES THESE TYPES OF EXPERIMENTS AND THESE TYPES
24 OF ANIMALS IN ORDER TO GET APPROVAL FOR AN IND, IF
25 PEOPLE HAVEN'T REALLY THOUGHT THAT THROUGH VERY

BARRISTERS' REPORTING SERVICE

1 WELL, ARE THEY REALLY PREPARED TO TAKE \$20 MILLION
2 OF OUR MONEY AND GET TO AN IND IN FOUR YEARS? I
3 THINK THAT THAT SEVERELY ALTERS THE SCORE AND
4 APPROPRIATELY IN MOST INSTANCES.

5 DR. PIZZO: THIS IS ANOTHER EXTENSION OF
6 STEVE'S COMMENT, AND I REALIZE THESE DATA MAY NOT BE
7 TOTALLY AVAILABLE. BUT JUST TO ASSESS THE PURITY OF
8 THE PROCESS, I THINK JEFF IS ALLUDING TO THIS, AS
9 YOU LOOK AT THE SCORERS, IS THERE SIGNIFICANT OR
10 SUFFICIENT VARIANCE FROM THOSE WHO MIGHT HAVE SCORED
11 EITHER LOW OR HIGH BECAUSE IT CAN BE BIDIRECTIONAL
12 FROM PRELIMINARY TO THE FINAL SCORING? THAT IS, DO
13 PEOPLE CHANGE THEIR MINDS IS WHAT I'M REALLY ASKING.

14 AND SECONDLY, IS THERE A CLUSTER OF
15 CERTAIN TYPES OF INDIVIDUALS WHO ARE JUST LOW
16 SCORERS OR HIGH SCORERS CONSISTENTLY ACROSS THE
17 SPECTRUM?

18 DR. FEIGAL: I COULD GIVE AN ANSWER, GIL
19 COULD GIVE A BROADER ANSWER BASED ON A SIGNIFICANT
20 AMOUNT OF MORE DATA, BUT I CAN SAY THAT ALL OF THE
21 ABOVE CAN OCCUR IN ANY KIND OF A REVIEW PROCESS.
22 THAT WHAT I THINK HELPS MITIGATE SOME OF THE
23 VARIABILITY IS THERE CAN BE ALMOST A RECALIBRATION.
24 SO THAT THOSE WHO HEAR THE OTHER DISCUSSIONS, HEAR
25 THINGS ARE BEING RATED, AND THEY VOLUNTARILY SAY I

BARRISTERS' REPORTING SERVICE

1 MAY HAVE BEEN TOO HARSH OR I MAY HAVE BEEN TOO
2 OPTIMISTIC, AND THEY MAY GO UP OR COME DOWN BASED
3 UPON THE CALIBRATION OF WHAT'S GOING ON IN THAT ROOM
4 IN THAT PARTICULAR REVIEW SESSION.

5 DR. PIZZO: THAT'S WHAT I'M HOPING YOU
6 WERE GOING TO SAY BECAUSE I THINK THAT TENDS TO MAKE
7 ANY POTENTIAL BIAS ON ONE SIDE OR THE OTHER LESS
8 RELEVANT. I THINK WHEN WE JUST LOOK AT SCORES THAT
9 ARE HIGHLY VARIANT, IT BECOMES IMPORTANT JUST TO
10 UNDERSTAND THE PROCESS. I THINK THIS LAST ANSWER
11 HELPS WITH THAT. THANK YOU.

12 DR. TROUNSON: JUST IN ADDITION, JEFF, I
13 THINK WE ASKED THEM TO USE THE FULL RANGE, PHIL.
14 AND WE REALLY DO SO THAT THEY'RE NOT ALL CLUSTERED
15 TOGETHER SO TIGHTLY THAT WE CAN'T SEPARATE THEM.
16 AND IF YOU HAVE A REVIEWER WHO FEELS THERE'S A FATAL
17 FLAW, IT WILL GET DOWN INTO AGGRESSIVELY DOWN HIGH
18 OR AGGRESSIVELY UP HIGH, AND THERE WILL BE VARIANCE
19 ASSOCIATED WITH THAT BECAUSE WE'VE INSTRUCTED THEM
20 TO USE THAT WHOLE RANGE.

21 DR. PIZZO: I UNDERSTAND THAT. I'M JUST
22 TRYING TO MAKE SURE THAT THE PROCESS DOESN'T BRING
23 TOGETHER PEOPLE WHO ALWAYS SEE A FATAL FLAW OR
24 ALWAYS SEE NIRVANA, BUT ARE ABLE TO SEE SOME
25 VARIATION BETWEEN THAT AND MOVE IN ONE DIRECTION OR

BARRISTERS' REPORTING SERVICE

1 THE OTHER. THAT'S A GOOD PROCESS.

2 DR. FEIGAL: I ALSO JUST WANT TO CLARIFY
3 BECAUSE I DON'T THINK IT'S BROUGHT UP. THERE IS AN
4 OPPORTUNITY NOT JUST TO CONSIDER THOSE PROPOSALS
5 THAT WERE IN TIER II. THERE IS ALSO AN OPPORTUNITY
6 TO LOOK AT THOSE PROPOSALS IN TIER III. AND I JUST
7 DON'T THINK THAT HAD BEEN MADE CLEAR, SO I WANTED TO
8 CLARIFY THAT AS WELL.

9 MR. SHEEHY: I HAVE MS. GIBBONS AND THEN
10 DAVID SERRANO-SEWELL AND THEN DR. LEVIN.

11 CHAIRMAN THOMAS: COULD I JUST ASK, WHEN
12 PEOPLE ARE MAKING THEIR COMMENTS, WE WANT TO PROCEED
13 TO ACTUAL CONSIDERATION OF THE PROPOSALS. SO LET'S
14 TRY TO KEEP OUR COMMENTS SUCCINCT. THANK YOU.

15 MS. GIBBONS: JEFF, WITH ALL OF THAT AS A
16 BACKGROUND, AS IT MAY BECOME RELEVANT TO OUR
17 UPCOMING DISCUSSION ON ALL OF THE PETITIONS AND
18 OTHER APPLICATIONS THAT WE MAY WANT TO MOVE AROUND,
19 HOW COMMON IS IT THAT A PLANNING GROUP SCORE WOULD
20 BE WILDLY DIFFERENT FROM THE FINAL SCORE? DOES THAT
21 RARELY HAPPEN?

22 MR. SHEEHY: I HAVEN'T LOOKED AT THAT. SO
23 I CAN'T -- YOU KNOW, IF THAT'S SOMETHING THAT COMES
24 UP DURING THE LATER DISCUSSION, I THINK THAT THAT'S
25 FINE, BUT I PERSONALLY HAVE NOT LOOKED AT THAT

BARRISTERS' REPORTING SERVICE

1 MYSELF. SO I CAN'T REALLY OFFER THAT. I HAD NOT
2 CONSIDERED THAT. I TAKE EACH OF THESE REVIEWS
3 SEPARATELY MYSELF.

4 DR. SAMBRANO: CAN I OFFER JUST MAYBE
5 PERHAPS A LITTLE BIT OF CONTEXT ON THAT? I THINK
6 THE THING TO CONSIDER, GOING BACK A LITTLE BIT TO
7 THE NUMBERS OF PLANNING AWARDS, THERE WERE A TOTAL
8 OF 36 PLANNING AWARDS THAT WERE REVIEWED, AND SEVEN
9 OF THOSE WERE FROM FOR-PROFIT COMPANIES. NOW, WHEN
10 THEY WERE SCORED, WHAT WE ASKED REVIEWERS TO DO IS
11 TO SPREAD THE SCORES OUT. SO THEN THOSE THAT WERE
12 INVITED, THEN, IN A RANGE FROM 87 TO 57. SO NOW YOU
13 IMAGINE THOSE COMING IN FOR A FULL APPLICATION
14 REVIEW, THE APPLICATION IS DIFFERENT, IT HAS MORE
15 DETAIL. THERE ARE THINGS THAT HAVE EVOLVED SINCE
16 THE TIME OF THE PLANNING AWARD BECAUSE THERE WAS AT
17 LEAST A SIX- TO SEVEN-MONTH PERIOD THAT OCCURRED IN
18 BETWEEN. AND THEN SO WE ASKED THEM AGAIN TO SPREAD
19 THE SCORES.

20 SO THE SCORES BETWEEN THE PLANNING AWARD
21 AND THE RESEARCH AWARD ARE NOT NECESSARILY DIRECTLY
22 RELATED. THEY ARE NOW IN THE CONTEXT OF ALL THOSE
23 THAT CAME IN INITIALLY AS HAVING A PLANNING AWARD
24 AND, THUS, WERE ALREADY AT THE VERY TOP. SO I THINK
25 ONE HAS TO BE CAUTIOUS ABOUT COMPARING THOSE TWO.

BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: DR. FEIGAL AND THEN WE'LL
2 HAVE DAVID SERRANO-SEWELL AND DR. LEVIN.

3 DR. FEIGAL: JUST QUICKLY, IN ADDITION TO
4 THE CONTENT BEING DIFFERENT, THE BUDGETS ARE QUITE
5 DIFFERENT. THE BUDGET FOR A PLANNING AWARD WAS
6 100,000. THE BUDGET FOR A DISEASE TEAM IS UP TO 20
7 MILLION. SO THERE'S A DIFFERENT MIND-SET WHEN
8 YOU'RE LOOKING AT SOMETHING WHERE THERE IS A MUCH
9 SMALLER BUDGET VERSUS A \$20 MILLION BUDGET.

10 MR. SERRANO-SEWELL: JEFF, I JUST WANTED
11 TO AMPLIFY SOMETHING ELLEN SAID TO DEAN PIZZO'S
12 QUESTION. THAT IS THAT IT IS A ROBUST DISCUSSION,
13 BUT IT IS NOT -- WHILE ALL OF THE ABOVE DOES HAPPEN,
14 IT IS NOT UNUSUAL TO HAVE AN INSTANCE WHERE YOU
15 HAVE, WHEN WE START WITH OUR THREE SCORES, THREE
16 REVIEWERS AND THEY ANNOUNCE THEIR SCORES, TO HAVE
17 TWO HIGH SCORES AND ONE VERY LOW SCORE. AND THAT
18 INFLUENCES THE PROCESS WHEN THE FULL 16 DO THEIR
19 NOTATIONS. THAT DOES NOT HAPPEN. IT HAPPENS WITH
20 SOME FREQUENCY. AT LEAST THAT'S BEEN MY EXPERIENCE.
21 THE SCORES ARE WHAT THE SCORES ARE, BUT THAT DOES
22 HAPPEN.

23 DR. LEVIN: SO I'M NOT SURE THIS IS THE
24 TIME FOR THIS QUESTION, BUT TO THIS POINT, I DON'T
25 FEEL LIKE WE'VE HEARD THE OPINION OF THE CIRM

BARRISTERS' REPORTING SERVICE

1 SCIENTIFIC STAFF. LAST NIGHT IN SCIENCE
2 SUBCOMMITTEE, WE APPROVED THE DOCUMENT FOR THE ROLES
3 AND RESPONSIBILITIES DURING THE GRANTS WORKING
4 GROUP. AND ONE OF THEM WAS THAT THE SCIENTIFIC
5 STAFF WOULD CONSIDER WHETHER THERE ARE APPLICATIONS
6 WHICH THEY BELIEVE WARRANT PARTICULARLY CLOSE REVIEW
7 BY THE BOARD. AND I HAVEN'T HEARD THAT.

8 AND FURTHERMORE, WE HAVE AN UNPRECEDENTED
9 NUMBER OF EXTRAORDINARY PETITIONS, MOST OF WHICH
10 CAME ON TIME. AND IN PREVIOUS ROUNDS, WE'VE GOTTEN
11 SOME SORT OF RESPONSE FROM THE PRESIDENT AND THE
12 SCIENTIFIC STAFF ON THAT, AND I DON'T BELIEVE WE GOT
13 ANY OF THAT EITHER.

14 SO IS THAT UPCOMING?

15 MR. SHEEHY: WELL, I WAS GOING TO ADDRESS
16 THAT NEXT. AND TO BE CLEAR, WE HAVE DECIDED NOT TO
17 RESPOND TO EVERY EXTRAORDINARY PETITION, BUT ONLY
18 THOSE THAT STAFF WOULD LIKE TO RESPOND TO. SO I
19 WILL COME BACK TO YOUR POINT.

20 JOAN HAD A COMMENT SHE WANTED TO MAKE, AND
21 THEN I THINK IF WE COULD MOVE ON BECAUSE WE'RE
22 REALLY TALKING ABOUT PROCESS NOW.

23 MS. SAMUELSON: THERE'S ANOTHER THING THAT
24 OPERATES IN SETTING THE SCORES, AND THAT IS THAT
25 THERE'S STRONG ENCOURAGEMENT AT TIMES TO BRING

BARRISTERS' REPORTING SERVICE

1 SCORES TOGETHER. AND IT HAS BEEN THE CASE THAT WHEN
2 THERE IS SOMEONE WHO CHOOSES TO HAVE A VERY LOW
3 SCORE AND THERE'S ENCOURAGEMENT TO BRING SCORES
4 TOGETHER, IT HAS THE EFFECT OF DRIVING SCORES DOWN.
5 AND THAT IS NOT -- AND I'VE SEEN IT DONE, NOT
6 BECAUSE THERE WAS A CONSENSUS BELIEF THAT THE GRANT
7 HAD NO MERIT, BUT SIMPLY TO HAVE A COMMON CONSENSUS
8 ABOUT WHAT THE SCORE IS. AND THAT AT LATER POINTS
9 CAN PROVE HARMFUL TO A GIVEN GRANT'S CHANCES OF
10 BEING FUNDED.

11 AND THERE WILL BE TIMES, I THINK, IN OUR
12 PROCESS WHEN WE HAVE TO LOOK AT THE HISTORY OF A
13 GRANT AND SEE WHETHER THAT HAPPENED AND TAKE IT INTO
14 CONSIDERATION BECAUSE IF WE DECIDE TO VOTE AGAINST A
15 GRANT SIMPLY BECAUSE IT HAS A LOW SCORE, IT MAY NOT
16 BE BECAUSE OF LACK OF MERIT EXCLUSIVELY OR
17 PREDOMINANTLY, AND THAT COULD BE A REAL PROBLEM IF
18 IT HAS OTHER IMPORTANT PROGRAMMATIC BENEFITS. AND
19 THEN THERE'S AN ASSUMPTION THAT IT LACKS SCIENTIFIC
20 MERIT. I'M SAYING THAT IT'S NOT ALWAYS BEEN THE
21 CASE, AND I THINK WE'LL HAVE TO LOOK AT THAT AND
22 MAYBE TALK ABOUT IT AT GREATER LENGTH IN CLOSED
23 SESSION.

24 MR. SHEEHY: SO I WOULD LIKE TO GO AHEAD
25 AND SPECIFICALLY TALK ABOUT TWO GRANTS THAT STAFF

BARRISTERS' REPORTING SERVICE

1 HAS IDENTIFIED. AND I'M SEPARATING THESE OUT
2 BECAUSE THESE ARE REALLY NOT PROGRAMMATIC ISSUES. I
3 THINK WHEN THE BOARD DOES DISCUSS THE GRANTS AND THE
4 EXTRAORDINARY PETITIONS LATER, WE WILL BE LOOKING
5 PREDOMINANTLY FROM A PROGRAMMATIC POINT OF VIEW.
6 AND THESE TWO GRANTS, ONE IS 5426, IF MY EYES DON'T
7 DISTURB ME, WHICH IS FOR DUCHENNE MUSCULAR
8 DYSTROPHY. AND THE OTHER IS 5735. BOTH OF THESE
9 GRANTS, AND I'M GOING TO TURN THIS OVER TO DR.
10 TROUNSON IN A SECOND, WE RECEIVED SIGNIFICANT NEW
11 INFORMATION, SCIENTIFIC INFORMATION, THAT LEADS US
12 TO WANT TO EMPLOY THE ADDITIONAL ANALYSIS OPTION.

13 I THINK DR. TROUNSON HAS EXPRESSED THIS,
14 AND I HAVE TO SAY I CONCUR. I'M NOT COMFORTABLE AT
15 THIS LEVEL MOVING THESE GRANTS INTO THE FUNDABLE
16 CATEGORY WITHOUT GIVING AT LEAST THIS PARTICULAR
17 PROCESS WHICH ALLOWS FOR ANOTHER LOOK AT IT BY
18 SCIENTISTS ANOTHER REVIEW WITHOUT GIVING THE SCIENCE
19 A GOOD LOOK. BUT THE NEW DATA THAT'S BEEN PROVIDED
20 FOR BOTH OF THESE GRANTS, I THINK, IS VERY POWERFUL.
21 IT'S INCLUDED IN THEIR EXTRAORDINARY PETITIONS.

22 I WOULD HOPE THAT WE COULD SEND AT LEAST
23 THESE TWO GRANTS BACK FOR ADDITIONAL ANALYSIS. AND
24 THEN, OF COURSE, AFTER WE GET THAT INPUT FROM THE
25 SCIENTISTS, THOSE GRANTS WILL COME BACK TO THE BOARD

BARRISTERS' REPORTING SERVICE

1 FOR FINAL DISPOSITION. I'LL REPEAT. IT'S 5426 FOR
2 DUCHENNE MUSCULAR DYSTROPHY, AND THE OTHER IS 5735,
3 WHICH IS A HEART DISEASE GRANT.

4 CHAIRMAN THOMAS: MR. HARRISON HAS A
5 COMMENT, MR. SHEEHY.

6 MR. HARRISON: NOW THAT WE'VE IDENTIFIED
7 PARTICULAR APPLICATIONS, I'D JUST LIKE TO REMIND THE
8 MEMBERS THAT YOU SHOULD EACH HAVE A LIST OF THE
9 APPLICATIONS FOR WHICH A CONFLICT HAS BEEN
10 IDENTIFIED IN FRONT OF YOU. SO PLEASE LOOK AT THAT
11 LIST BEFORE OFFERING ANY COMMENTS REGARDING ANY OF
12 THE APPLICATIONS THAT HAVE BEEN IDENTIFIED.

13 MR. SHEEHY: DR. TROUNSON.

14 DR. TROUNSON: THANK YOU, JEFF. IN THE
15 SPIRIT OF WHAT JAMES JUST SAID, NOTE THAT ANY OF MY
16 COMMENTS DON'T RELATE AT ALL TO 736, 416, OR 365 FOR
17 WHICH I HAVE A CONFLICT. OKAY.

18 SO I'M GOING TO BE -- STAFF HAVE LOOKED AT
19 THESE PROJECTS, ALL OF THEM, IN THESE CATEGORIES.
20 AND WE THINK THAT THESE TWO CASES THAT JEFF
21 IDENTIFIED, WHICH BOTH HAVE PRETTY LOW SCORES, ONE
22 DOWN PRETTY LOW IN THE SYSTEM THERE, I THINK
23 EVERYBODY KNOWS THE SCORES, SO THEY'RE DOWN AT 45
24 AND 51. SO THESE ARE NORMALLY WAY BELOW WHAT IS
25 BEING CONSIDERED TO BE FUNDED.

BARRISTERS' REPORTING SERVICE

1 THERE HAS BEEN NEW INFORMATION AND I THINK
2 SIGNIFICANT NEW INFORMATION. IN THE CASE OF THE
3 CAPRICOR PROJECT, WHICH IS 735, THEY HAVE OBTAINED
4 AN IND AND WE DIDN'T KNOW THIS. THERE ARE MATERIALS
5 ASSOCIATED WITH THAT IND. WE DIDN'T KNOW THAT.
6 THEY'VE ALSO GOT FUNDING FROM NIH FOR THE PHASE I
7 PART OF THEIR PROJECT.

8 SO THIS NEEDS, I THINK, SOME CONSIDERATION
9 BECAUSE I FEEL THAT ADDRESSES MANY OF THE COMMENTS
10 MYSELF. AND STAFF AGREE WITH ME THAT THAT IS THE
11 CASE.

12 AND THE OTHER PROJECT IS INTERESTING
13 BECAUSE IT WAS ONLY TWO DAYS AGO THAT THERE WAS A
14 SIGNIFICANT RELEASE OF NEW INFORMATION, ONLY TWO
15 DAYS BEFORE THIS, AND IT WAS A PUBLIC RELEASE OF
16 INFORMATION THAT SHOWED THAT THE DRUG, THE
17 EXON-SKIPPING DRUG, THAT THE APPLICANTS WERE USING
18 HAS SHOWN SOME BENEFIT IN WALKING, IN FUNCTION FOR
19 PATIENTS, AND THEY WANT TO ADD AN ADDITIONAL DRUG TO
20 IMPROVE THAT.

21 I THINK SOME OF THE REAL ISSUES WITH THAT
22 PARTICULAR PROJECT, THAT THE DRUG THAT THEY WERE
23 USING HADN'T SHOWN ANY BENEFIT WHATSOEVER TO
24 PATIENT; WHEREAS, THE COMPETITIVE DRUG HAD SHOWN A
25 LOT OF EFFECT AND WAS ACTUALLY MOVING, HAS MOVED

BARRISTERS' REPORTING SERVICE

1 INTO PHASE III. SO THERE WAS A REAL COMPETITIVE
2 ISSUE THERE. I THINK THAT NEEDS TO GO BACK AND BE
3 REFERRED TO SEE WHETHER THAT LARGELY ADDRESSES THE
4 ISSUES THAT THE GRANTS WORKING GROUP HAD.

5 ON THE OTHER PROJECTS I COULDN'T REALLY
6 FIND WHAT I CONSIDER SIGNIFICANT DIFFERENCES IN
7 TERMS OF ADDING NEW INFORMATION, NOR DOES STAFF
8 BELIEVE THAT'S THE CASE. SO I'M NOT GOING TO
9 COMMENT ON THOSE THREE PROJECTS. I'LL ASK ELLEN TO
10 MAKE A COMMENT ON THOSE. SO THAT EXCLUDES THOSE
11 THREE PROJECTS FOR WHICH I HAVE A CONFLICT. BUT I'M
12 NOT SURE HOW DEEP, JEFF, YOU WANT ME TO SORT OF GO
13 IN THIS, BUT I THINK BOTH OF THESE WOULD WARRANT
14 THAT PROCESS. THEY'RE LOW SCORES. THEY NEED TO GO
15 BACK TO SEE WHETHER THE NEW INFORMATION WOULD, IN
16 FACT, BRING THEM INTO A SCORABLE RANGE. I THINK
17 THAT WOULD BE THE CHALLENGE FOR THE REVIEW. I THINK
18 THAT WOULD CONSIDERABLY HELP THE ICOC.

19 MR. TORRES: IS A MOTION IN ORDER, MR.
20 SHEEHY, AT THIS POINT?

21 MR. SHEEHY: I WAS GOING TO ASK THE CHAIR.
22 IT MIGHT BE HELPFUL FOR US IF WE COULD DO THAT AT
23 LEAST FOR THOSE TWO. AND IN ANTICIPATION OF YOUR
24 MOTION, I WOULD HOPE THAT IT WOULD INCLUDE DIRECTION
25 THAT WE RENEW THIS PROCESS AT LEAST FOR ANOTHER

BARRISTERS' REPORTING SERVICE

1 PERIOD OF TIME BECAUSE IT HAD ELAPSED AND THAT WE
2 BRING TO THE BOARD CONSIDERATION OF RENEWAL OF THE
3 ADDITIONAL ANALYSIS PROCESS AS WELL. DR. THOMAS, IS
4 THIS OKAY FOR A MOTION AT THIS POINT?

5 CHAIRMAN THOMAS: I THINK SENATOR TORRES
6 IS CORRECT. THOSE ARE TWO MOTIONS. I, HOWEVER,
7 WOULD ENCOURAGE BOTH OF THOSE MOTIONS TO BE MADE IF
8 SENATOR TORRES SO CHOOSES.

9 MR. TORRES: SO MOVED.

10 MR. SHESTACK: I'LL SECOND.

11 CHAIRMAN THOMAS: I THINK YOU HAVE TO HAVE
12 ONE AT A TIME.

13 MR. SHEEHY: WELL, THEY CAN GO AS A JOINT
14 MOTION, I THINK, CAN'T THEY, JAMES?

15 MS. FEIT: CAN WE HAVE A CLEAR STATEMENT
16 OF WHAT THE MOTIONS ARE GOING TO BE AS THE
17 IDENTIFICATION OF THE TWO PROGRAMS WE'RE MAKING THE
18 MOTION ON?

19 MR. HARRISON: I WAS FIRST GOING TO
20 RECOMMEND THAT WE TAKE EACH OF THE APPLICATIONS
21 SEPARATELY BECAUSE THERE ARE DIFFERENT CONFLICTS AND
22 A DIFFERENT QUORUM REQUIREMENT FOR EACH, AND MEMBERS
23 MAY HAVE DIFFERENT VIEWS ABOUT THEM.

24 AS I UNDERSTAND THE MOTION, IT IS TO
25 REFER, FIRST, APPLICATION NO. 5426 TO THE PEER

BARRISTERS' REPORTING SERVICE

1 REVIEW GROUP OR A SUBSET OF IT FOR ADDITIONAL
2 ANALYSIS AND RECOMMENDATION TO THE BOARD AS TO
3 WHETHER THE NEW INFORMATION WOULD CHANGE THE
4 RECOMMENDATION.

5 THE SECOND APPLICATION THAT WAS IDENTIFIED
6 IS APPLICATION 5735, AND I ASSUME THERE WOULD BE
7 DISCRETION VESTED IN DR. TROUNSON AND JEFF SHEEHY AS
8 CO-VICE CHAIR TO DETERMINE WHETHER SOME SUBSET OF
9 THE PEER REVIEW GROUP COULD RESOLVE THIS ISSUE.

10 MR. TORRES: WHO ARE THE CONFLICTS FOR
11 5426?

12 MR. HARRISON: TYPICALLY WE SIMPLY DO NOT
13 CALL THOSE MEMBERS WHO HAVE CONFLICTS. YOU HAVE THE
14 LIST IN FRONT OF YOU. SO IF YOU'RE ON THAT LIST,
15 YOUR NAME WILL NOT BE CALLED.

16 MR. TORRES: A LOGISTICAL QUESTION, MR.
17 COUNSEL. SHOULD WE PROCEED FIRST WITH A MOTION TO
18 REINSTATE THE LAPSED PROCESS?

19 MR. HARRISON: NO. THE BOARD HAS INHERENT
20 AUTHORITY TO REFER THESE APPLICATIONS BACK TO PEER
21 REVIEW FOR ADDITIONAL CONSIDERATION. AS MR. SHEEHY
22 SAID, STAFF HAS CLEARLY HEARD THE DIRECTION TO BRING
23 A PROCESS BACK TO THE BOARD FOR ITS CONSIDERATION,
24 AND WE WILL DO SO.

25 MR. TORRES: THEN I MOVE THAT WE MOVE ITEM

BARRISTERS' REPORTING SERVICE

1 NO. 5426 FOR THIS ADDITIONAL REVIEW.

2 MR. SHESTACK: I'LL SECOND THAT.

3 CHAIRMAN THOMAS: DISCUSSION? IT'S BEEN
4 MOVED AND SECONDED. DISCUSSION BY MEMBERS OF THE
5 BOARD? MR. JUELSGAARD.

6 DR. JUELSGAARD: YES. JUST SO I'M CLEAR,
7 EITHER DR. TROUNSON CAN ANSWER THIS OR MR. SHEEHY.
8 BUT WHAT SPECIFIC ITEMS WERE IDENTIFIED IN THE
9 APPEAL THAT PRECIPITATED THE DESIRE TO REVIEW THESE,
10 TO RELOOK AT THESE ONE MORE TIME? CAN YOU IDENTIFY
11 THE TOP THREE, FOUR, OR FIVE ITEMS OF NEW
12 INFORMATION, PLEASE?

13 DR. TROUNSON: IF I CAN HELP YOU BY
14 PROVIDING YOU SOME ANSWERS. IT WAS PUBLICLY
15 RELEASED TWO DAYS AGO THAT THE DRUG THAT THIS GROUP
16 IS WORKING WITH AND THE GROUP IS INTENDING TO USE A
17 DUAL DRUG APPROACH, BUT THE PRIMARY DRUG, THE
18 EXON-SKIPPING DRUG, THAT THE GROUP IS WORKING WITH
19 HAS SHOWN BENEFIT TO PATIENTS. NOW, THAT WAS AN
20 ISSUE FOR THE ORIGINAL GROUP, THAT, IN FACT, IT
21 HADN'T SHOWN ANY FUNCTION IN PATIENTS AND ALSO WAS
22 LAGGING, I THINK, ON TERMS OF ITS SKIPPING ABILITY
23 TO A COMPETITIVE DRUG.

24 NOW, I THINK THAT'S CHANGED WITH THE
25 RELEASE OF THAT INFORMATION. NOW, THAT IS PUBLIC

BARRISTERS' REPORTING SERVICE

1 INFORMATION THAT'S AVAILABLE. AND WE WANTED TO DRAW
2 THAT TO YOUR ATTENTION BECAUSE WE THINK THAT THAT
3 MAY ACCOUNT FOR A CONSIDERABLE COMPONENT OF THE
4 CRITIQUE THAT WAS AIMED AT THIS. IF YOU WERE GOING
5 TO USE AN ENHANCEMENT TO THESE EXON SKIPPING, WHY
6 WOULDN'T YOU DO IT WITH THE PREFERRED DRUG WHICH WAS
7 SHOWING FUNCTION RATHER THAN THE ONE THAT WAS NOT
8 SHOWING ANY FUNCTION? THAT SEEMED TO BE A CONCERN
9 AND I THOUGHT A PRETTY VALID CONCERN ON BEHALF OF
10 THE REVIEW TEAM.

11 AND SO I THINK THAT MIGHT MAKE A
12 DIFFERENCE, STEVE. I DON'T KNOW. I'M JUST GIVING
13 YOU THIS INFORMATION, AND IT APPEARED TO ME AND TO
14 STAFF, TO DR. FEIGAL AND OLSON AND OTHERS, THAT THIS
15 WAS AT LEAST ADDRESSING ONE OF THE PRIMARY CONCERNS
16 THAT THE REVIEWERS HAD, NOT COMPLETELY ALL OF THEM,
17 BUT AT LEAST ONE OF THE PRIMARY CONCERNS.

18 MR. SHEEHY: IF I COULD JUST FOLLOW UP, IF
19 YOU LOOK AT THE SCORES, THE LOW IS 15, STANDARD
20 DEVIATION OF 17. AND WHAT HAPPENED WAS PRECISELY
21 WHAT DR. TROUNSON HAS MENTIONED, WHICH I THINK WAS A
22 FAIR FATAL FLAW, I HAVE TO SAY. I REALLY HAD FELT
23 THAT FOR PROGRAMMATIC REASONS WE SHOULD TRY TO
24 ADDRESS THIS DISEASE. BUT THE FACT THAT THE DRUG
25 THAT THEY WERE HOPING TO USE WAS IN THE CONTEXT OF

BARRISTERS' REPORTING SERVICE

1 THE COMBINATION PRODUCT HAD SIMPLY NOT PROVIDED ANY
2 THERAPEUTIC BENEFIT WAS VERY COMPELLING TO THAT
3 REVIEWER AND BASICALLY KEPT THE GRANT FROM BEING
4 EVEN CONSIDERED FOR FUNDING.

5 SO I THINK THIS NEW INFORMATION IS
6 SIGNIFICANT. IT'S SCIENCE-BASED INFORMATION AND WE
7 SHOULD REEVALUATE THAT AND RECONSIDER WHAT WE DO
8 WITH THIS GRANT.

9 DR. PIZZO: SO, ELLEN, YOU MENTIONED THE
10 DATA WITH REGARD TO THE EXON-SKIPPING DRUG AND ITS
11 IMPACT ON WALKING OR MOVEMENT. I NOTE IN THE
12 REVIEW, THE FIRST BULLET SAYS, "A MAJOR FLAW WAS THE
13 ABSENCE OF ANY DATA OR DISCUSSION ADDRESSING WHETHER
14 POTENTIAL THERAPY WOULD IMPACT CARDIAC MUSCLES."
15 THAT'S A SPECIFIC ONE.

16 MY WORRY ABOUT THAT IS TWOFOLD. ONE OF
17 THEM IS THAT'S A PRETTY LATE ENDPOINT IN A DISEASE
18 LIKE MUSCULAR DYSTROPHY. AND I WONDER ABOUT, A, THE
19 RATIONALE FOR HAVING THAT AS A VALID ENDPOINT. AND
20 SECONDLY, BECAUSE IT DOES STATE IT AS A MAJOR FLAW,
21 I DON'T KNOW THAT THERE'S A CORRELATION BETWEEN THAT
22 STATEMENT AND THE SCORE, BUT ONE MIGHT THINK THAT
23 THAT IS. AND THAT JUST RAISES A LOT OF
24 METHODOLOGICAL ISSUES. YOU'RE TALKING ABOUT
25 EXPLORING NEW AGENTS FOR A PRETTY SIGNIFICANT

BARRISTERS' REPORTING SERVICE

1 DISEASE AS WELL STATED IN THE OUTLINE, AND I WOULD
2 THINK THAT IF WE PUT FORWARD ENDPOINTS THAT MEASURED
3 DEATH AS THE END POINT, WE WOULD HAVE A LOT OF
4 PROBLEMS GETTING ANY DRUGS STUDIED AND APPROVED. SO
5 I'M JUST RAISING THAT AS A CONCERN AND AN ISSUE.

6 DR. TROUNSON: WELL, I AGREE WITH YOU,
7 PHIL. MAYBE I THINK ELLEN IS ANXIOUS TO MAKE A
8 COMMENT HERE, BUT I THINK THIS NEEDS TO GO BACK AND
9 TO BE FURTHER CONSIDERED. BUT I THINK THAT'S THE
10 BEST PLACE TO DO THAT BECAUSE I THINK WE NEED TO GET
11 HOLD OF THIS WITH GOOD SCIENCE AND JUST SEE HOW WE
12 FEEL ABOUT IT, THEN BRING IT BACK TO YOU WITH
13 WHATEVER REVISED. IT MAY BE THAT THEY STILL DON'T
14 AGREE, BUT LET'S FIND OUT WHAT THEY THINK, THAT IT
15 INCLUDES THAT.

16 DR. STEWARD: THIS IS JUST TO CLARIFY THE
17 MOTION. I THINK I HEARD TWO THINGS. ONE WAS -- AND
18 THE MOTION WAS TO SEND IT BACK FOR CONSIDERATION,
19 WHICH IS FINE, BUT THE TWO POSSIBILITIES WERE TO, IN
20 FACT, USE, AGAIN, THE PROCEDURE THAT WE HAD IN PLACE
21 BEFORE. AND THE SECOND, I THINK, WAS THAT IT WOULD
22 BE LEFT TO THE DISCRETION OF MR. SHEEHY AND DR.
23 TROUNSON TO ACTUALLY FIGURE OUT EXACTLY HOW TO DO
24 IT. I JUST WANT TO CLARIFY WHICH IT IS, WHAT OUR
25 MOTION IS.

BARRISTERS' REPORTING SERVICE

1 MR. TORRES: I THOUGHT IT COULD BE EITHER.

2 DR. STEWARD: COULD I SUGGEST THAT IT BE
3 THE ONE WHERE MR. SHEEHY AND DR. TROUNSON DECIDE
4 EXACTLY HOW TO UNDERTAKE THIS?

5 MR. TORRES: I'LL ACCEPT THAT AMENDMENT.

6 DR. STEWARD: THANK YOU.

7 MR. SHESTACK: TO JEFF OR WHOMEVER, FIRST
8 OF ALL, I AGREE STRONGLY THAT BOTH GRANTS ACTUALLY
9 THERE WAS SUBSTANTIAL NEW INFORMATION, BUT
10 ADDRESSING THE DMD GRANT, SUBSTANTIAL NEW
11 INFORMATION THAT CAME IN AT AN UNFORTUNATELY LATE
12 DATE, BUT VERY SUBSTANTIAL. AND ALSO AGREE STRONGLY
13 WITH DR. PIZZO, THAT PERHAPS THE STANDARD OF
14 AFFECTING CARDIAC FUNCTION WAS NOT THE RIGHT ONE,
15 AND IT WAS MORE IT REALLY SHOULD BE MORE OF A
16 PULMONARY FUNCTION THAT SHOULD HAVE BEEN --
17 PULMONARY FUNCTION IS MORE IMPORTANT ULTIMATELY IN
18 THIS CASE.

19 BUT WHAT I REALLY ASK YOU, JEFF, IS WE
20 SHOULD VOTE ON THIS MOTION, BUT FOR BOTH OF THESE
21 GRANTS, THERE ARE ALSO SPECIAL PETITIONS, I THINK,
22 FOR THEM, AND THERE ARE PEOPLE IN THE PUBLIC. I
23 THINK THERE'S SOMEONE ACTUALLY SITTING IN MY OFFICE
24 IN LOS ANGELES, BUT THERE ARE PEOPLE IN THE PUBLIC
25 WHO WILL WANT TO ADDRESS IT. AND AT WHAT POINT

BARRISTERS' REPORTING SERVICE

1 SHOULD THEY DO THAT?

2 CHAIRMAN THOMAS: LET ME ANSWER THAT
3 QUESTION, MR. SHESTACK. WE FULLY INTEND FOR
4 EVERYBODY WHO WANTS TO SPEAK TO BE HEARD. WE'RE
5 GOING TO INITIALLY HAVE THE BOARD DISCUSSION. AND
6 THEN WHEN THE BOARD HAS DISCUSSED, THEN WE WILL TURN
7 TO PUBLIC COMMENT FOR THE RELEVANT PROPOSAL AT
8 ISSUE.

9 MR. SHESTACK: THE QUESTION HERE IS WE'RE
10 CONSIDERING -- THAT MAY BE TOTALLY APPROPRIATE.
11 WE'RE ACTUALLY CONSIDERING A VOTE ON THIS OTHER
12 PROCEDURE FOR THESE TWO GRANTS SEPARATELY. AND DOES
13 THE PUBLIC -- DO WE GIVE THE PUBLIC WHO HAS THOUGHTS
14 ON THAT AN OPPORTUNITY TO ADDRESS THAT?

15 CHAIRMAN THOMAS: ABSOLUTELY.

16 MR. HARRISON: COULD I JUST MAKE ONE
17 SUGGESTION WITH RESPECT TO PROCESS, AND WE MAY BE
18 PAST THAT POINT WITH RESPECT WITH THIS PARTICULAR
19 APPLICATION. BUT FOR THE NEXT ONE THAT'S BEEN
20 IDENTIFIED, IT MIGHT BE HELPFUL TO HAVE SCIENTIFIC
21 STAFF MAKE A BRIEF PRESENTATION TO SET THE STAGE SO
22 THAT MEMBERS UNDERSTAND WHAT'S AT ISSUE. AND THEN
23 WE CAN DISCUSS IN GREATER DETAIL THE NEW INFORMATION
24 THAT'S BEEN IDENTIFIED.

25 CHAIRMAN THOMAS: I THINK IF WE WOULD LIKE

BARRISTERS' REPORTING SERVICE

1 TO DO THAT FOR THIS, IF THAT WOULD BE THE WISH OF
2 THE BOARD, LET'S HAVE A BRIEF PRESENTATION JUST TO
3 GIVE A BIT MORE DETAIL.

4 DR. FEIGAL: LET ME ASK DR. BETTINA
5 STEFFEN TO STEP UP TO THE MICROPHONE TO GIVE A BRIEF
6 SCIENCE OFFICER SUMMATION OF THE ISSUES.

7 DR. STEFFEN: WE'RE JUST TRYING TO FIGURE
8 OUT HOW TO WORK WITH THE COMPUTER AND THE BINDER.

9 THIS PROPOSAL IS FOCUSED ON THE
10 DEVELOPMENT, AS YOU'VE HEARD, OF A COMBINATION
11 THERAPY WHICH IS BOTH AN ANTISENSE OLIGONUCLEOTIDE,
12 WHICH I WILL CALL AN AO, AND IT'S IN CLINICAL TRIALS
13 AS A SINGLE AGENT, AND IT'S BEEN PROPOSED TOGETHER
14 WITH AN FDA-APPROVED SMALL MOLECULE DRUG TO TREAT
15 DUCHENNE MUSCULAR DYSTROPHY. AND THE CONCEPT IS
16 BASED ON AN APPLICANT'S PRECLINICAL OBSERVATION THAT
17 THE SMALL MOLECULE CAN ENHANCE THIS EXON-SKIPPING
18 ACTIVITY IN A PRECLINICAL MODEL OF DMD IN AN ASSAY
19 OF HUMAN SKELETAL MUSCLE MYOTUBES.

20 SO WE TALKED ABOUT THE NEW INFORMATION.
21 IN THE SUMMARY IN FRONT OF YOU, I'M GOING TO TAKE
22 OFF THE TABLE RIGHT NOW ANY OF THE COMMENTS THAT
23 RELATE TO THE PHASE II-B DATA BECAUSE THAT'S WHERE
24 THE GRANTS WORKING GROUP HAD EARLIER INFORMATION
25 FROM A 24-WEEK ENDPOINT VERSUS THE PRESS RELEASE

BARRISTERS' REPORTING SERVICE

1 THAT CAME TO OUR ATTENTION WITH THE NEW DATA OF 36
2 WEEK.

3 SO THE STRENGTHS OF THE PROPOSAL, THAT THE
4 DISEASE IS ONE OF THE MOST COMMON LETHAL MONOGENIC
5 DISEASES, AND THERE ARE NO EXISTING TREATMENTS TO
6 REDUCE THE BURDEN OF DISEASE. THE EXON SKIPPING IS
7 AN ACTIVE AREA OF DEVELOPMENT, EXCITING RESEARCH.
8 SO AT A MINIMUM, IF YOU WERE TO TAKE THIS
9 COMBINATION NOW ALL THE WAY THROUGH DEVELOPMENT, AND
10 IF THERE WERE NO ENHANCEMENT OF THE CLINICAL
11 BENEFIT, BUT IT ALLOWED YOU TO GIVE LESS OF THE DRUG
12 BECAUSE IT ENHANCED THE ACTIVITY OF THE ANTISENSE
13 OLIGONUCLEOTIDE, THERE WOULD BE A DECREASE OF THE
14 COST TO TREAT THE PATIENTS WITH THESE EXPENSIVE
15 THERAPIES. AND THAT WAS RECOGNIZED AT THE GRANTS
16 WORKING GROUP AS A REAL POTENTIAL BENEFIT.

17 THE TEAM WAS JUDGED TO BE STRONG. BOTH
18 THE PI AND CO-PI'S COMMITMENT TO TRANSLATIONAL
19 RESEARCH WAS ACKNOWLEDGED, AND THE GRANTS WORKING
20 GROUP NOTED THAT THE PRODUCT DEVELOPMENT AND
21 REGULATORY EXPERTISE WAS PROVIDED BY INDUSTRY
22 PARTNERS IN THIS CASE. AND THE APPLICANT
23 INSTITUTION WAS JUDGED TO BE WELL-SUITED TO SUPPORT
24 THE RESEARCH AND THE PROPOSAL.

25 NOW, THE CHALLENGES WITH THE PROJECT, AND,

BARRISTERS' REPORTING SERVICE

1 AGAIN, I'M TAKING THE PHASE II-B CLINICAL DATA OFF
2 THE TABLE RIGHT NOW, AND I'M GOING TO COME BACK AND
3 IDENTIFY WHERE THOSE COMMENTS WERE MADE. SO AS YOU
4 FOLLOW THROUGH ON YOUR BINDER, YOU CAN LOOK AT THOSE
5 SPECIFICALLY.

6 SO THE KEY CHALLENGES WERE READINESS OF
7 THE COMBINATION PRODUCT TO MOVE INTO THIS STAGE OF
8 DEVELOPMENT AND THE DEVELOPMENT PLAN AS IT WAS
9 PROPOSED IN THE APPLICATION. SIMILARLY, THE BUDGET
10 WAS FELT TO BE HIGH WITH SOME OVERLAP WITH THE
11 COMPANY'S OWN PROGRAM FOR DEVELOPMENT OF THE SINGLE
12 AGENT.

13 I'LL GO THROUGH A FEW OF THESE POINTS THAT
14 WERE CHALLENGES IN DETAIL. SO THERE ARE TWO
15 COMPANIES WORKING ON SINGLE-AGENT ANTISENSE
16 OLIGONUCLEOTIDES THAT SKIP THE SAME AGENT. THEY ARE
17 BOTH IN LATE CLINICAL TRIALS. ONE IS IN PHASE III,
18 AND THIS IS IN PHASE II.

19 THE GRANTS WORKING GROUP QUESTIONED THE
20 STRENGTH OF THE DATA TO SUPPORT THE PREMISE THAT THE
21 DRUG CAN ACTUALLY INCREASE EXON SKIPPING. THE
22 APPLICATION DIDN'T ADDRESS THE MAXIMUM LEVELS OF
23 MUSCLE FIBERS THAT THE TEAM CAN AFFECT BY INCREASING
24 THE EXON SKIPPING, NOR WAS A THRESHOLD DEMONSTRATED
25 THAT MIGHT ALTER THE CLINICAL OUTCOMES. THE IN VIVO

BARRISTERS' REPORTING SERVICE

1 XENOGENIC MODEL, THAT'S WHERE THE ANIMAL -- THE
2 PRECLINICAL MODEL IS AN ANIMAL HOST AND HUMAN CELLS
3 ARE PUT INTO IT, BUT IS PROPOSED FOR EFFICACY, AND
4 DOSE FINDING WAS NOT JUDGED TO BE APPROPRIATE OR
5 READY TO CONDUCT THE DOSE RANGING AND EFFICACY
6 STUDIES.

7 REVIEWERS IDENTIFIED A RELEVANT
8 PRECLINICAL MODEL WHICH IS IMPORTANT FOR ASSESSMENT
9 OF STRUCTURAL OR FUNCTIONAL OUTCOMES.

10 THE APPLICANT NOTED THE POOR SOLUBILITY OF
11 THE SMALL MOLECULE, SO THIS IS NOW THE FDA-APPROVED
12 SMALL MOLECULE THAT'S PROPOSED WHICH MAY BE
13 SUBOPTIMAL FOR ORAL ADMINISTRATION. THE GRANTS
14 WORKING GROUP NOTICED REPLACEMENT WITH A MORE
15 SOLUBLE COMPOUND IN THE CANDIDATE COMBINATION WOULD
16 BE A DRAWBACK AND NECESSITATE FURTHER TESTING.

17 THE GRANTS WORKING GROUP POINTED OUT A
18 NUMBER OF PRECLINICAL STUDIES THAT WOULD BE NEEDED
19 TO DEMONSTRATE SAFETY OF THE PROPOSED COMBINATION
20 PRODUCT ABOVE AND BEYOND SAFETY OF THE SINGLE AGENT.
21 THE APPLICANT DIDN'T PRESENT DATA OR DISCUSS WHETHER
22 THE POTENTIAL THERAPY WOULD IMPACT THE CARDIAC
23 MUSCLE, AND WE'VE HEARD THE VIEWS ON THAT.

24 THE BUDGET COMMENTS, HERE, AGAIN,
25 REGARDING REDUNDANCY WITH THE COMPANY'S SINGLE AGENT

BARRISTERS' REPORTING SERVICE

1 DEVELOPMENT PROGRAM. THERE WERE TWO POINTS THAT ARE
2 HIGHLIGHTED IN YOUR SUMMARY ABOUT THE
3 CARCINOGENICITY FOR THE STUDY FOR THE SINGLE AGENT.
4 THEY DIDN'T FEEL THAT THAT WAS APPROPRIATELY FUNDED
5 IN THIS COMBINATION DRUG STUDY AND THE SIGNIFICANT
6 AMOUNT OF FUNDS GOING TO THE INDUSTRY PARTNER FOR
7 SUPPLIES FOR THE STUDIES IN YEAR ONE.

8 THE PROGRAMMATIC DISCUSSION, I'M GOING TO
9 SKIP OVER THAT, AND THEN NOTE THAT THERE WAS AN
10 EXTRAORDINARY PETITION SUBMITTED FOR THE
11 APPLICATION.

12 BRIEFLY, I WANT TO TALK ABOUT THE NEW
13 INFORMATION THAT CAME TO OUR ATTENTION. THIS WAS
14 ISSUED AS A PRESS RELEASE BY THE COMPANY WHICH HAS
15 PUT THIS OUT ON JULY 24TH, TWO DAYS AGO. AND IT
16 SHOWED TWO THINGS. ONE, THAT DYSTROPHIN LEVELS
17 INCREASE WITH THE SINGLE AGENT ANTISENSE
18 OLIGONUCLEOTIDE TREATMENT, AND THERE'S A
19 STATISTICALLY SIGNIFICANT BENEFIT IN FUNCTIONAL
20 OUTCOMES AT 36 WEEKS IN THE WALK TEST.

21 THE GRANT WORKING GROUP DIDN'T HAVE THIS
22 INFORMATION. AT THE TIME OF THE APRIL REVIEW, THEY
23 HAD DATA THAT SHOWED AN INCREASE IN THE DYSTROPHIN
24 LEVELS, BUT NO FUNCTIONAL BENEFIT, AS DR. TROUNSON
25 HAS MENTIONED. AND THOSE COMMENTS IN THE RATIONALE

BARRISTERS' REPORTING SERVICE

1 SECTION, SPECIFICALLY THE SECOND AND THIRD
2 SUB-BULLETS THERE, REFLECT THAT EARLIER DATA.

3 SO I JUST WANTED TO DRAW THOSE TO YOUR
4 ATTENTION. THE REST OF THE COMMENTS ARE NOT
5 IMPACTED BY THAT DATA.

6 DR. PIZZO: SO, FIRST OF ALL, I CAN SAY
7 THIS AS SOMEONE WITH PEDIATRIC BACKGROUND ON HOPE
8 THAT THERE ARE THERAPIES THAT WILL BE AVAILABLE FOR
9 CHILDREN WITH MUSCULAR DYSTROPHY, AND THAT IS
10 ENCOURAGING. I'M MINDFUL OF TWO THINGS, ONE OF
11 WHICH IS DATA RELEASE IN A PRESS RELEASE THAT COMES
12 TWO DAYS BEFORE THIS MEETING HAS TO BE TAKEN WITH A
13 CERTAIN DEGREE OF SUSPENDED BELIEF. I THINK THAT'S
14 IMPORTANT. IF YOU DIDN'T HEAR THAT LAST COMMENT, MY
15 FIRST COMMENT WAS TO SUPPORT AND HOPE THAT THERE
16 WILL BE THERAPIES FOR CHILDREN WITH MUSCULAR
17 DYSTROPHY.

18 THE SECOND IS THAT ONE IS MINDFUL THAT THE
19 PROMISING DATA COMES IN A PRESS RELEASE FROM A
20 COMPANY JUST TWO DAYS BEFORE THIS MEETING, AND THAT
21 SHOULD REGISTER SOME DEGREE OF SUSPENDED BELIEF
22 UNTIL WE SEE MORE DATA.

23 BUT THE THIRD IS REALLY A QUESTION TO THE
24 PROGRAMMATIC GROUP. AND THAT IS, CAN YOU TELL US OR
25 TELL ME WHAT THE RELEVANCE OF THESE STUDIES ARE TO

BARRISTERS' REPORTING SERVICE

1 STEM CELL RESEARCH PER SE? I UNDERSTAND THE NATURE
2 OF THE STUDY THAT'S BEING PROPOSED, BUT HOW DO WE
3 DEFINE THIS IN THE SCOPE OF STEM CELL BIOLOGY AND
4 THE WORK THAT WE'RE DOING AT CIRM?

5 DR. STEFFEN: SO THE STEM CELL COMPONENT
6 OF THE PROJECT IS THAT THE APPLICANT STATES THAT THE
7 ACTIVITY OF THE ENHANCED EXON SKIPPING WAS
8 DEMONSTRATED AND OBSERVED IN A MYOTUBE, SO
9 REPROGRAMMED FIBROBLAST TO MYOTUBES, AND IN AN
10 IPS-DERIVED MUSCLE CELL ASSAY. SO IT WAS A TOOL FOR
11 THE DISCOVERY OF THE SECOND AGENT.

12 CHAIRMAN THOMAS: OTHER COMMENTS BY THE
13 BOARD? OKAY. NOW LIKE TO GO TO PUBLIC COMMENT.
14 MR. SHESTACK, YOU INDICATE THERE ARE A NUMBER OF
15 SPEAKERS IN YOUR OFFICE?

16 MR. SHESTACK: THERE'S A FAMILY IN MY
17 OFFICE, BUT I THINK THERE ARE FAMILIES AND
18 INVESTIGATORS HERE. I WOULD JUST LIKE TO ACTUALLY
19 TAKE A BRIEF OPPORTUNITY TO -- WELL, ACTUALLY LET
20 THEM SPEAK, AND LET'S TRY AND GET THE PEOPLE IN MY
21 OFFICE BECAUSE THEY DROVE A LONG WAY, AND THEY'RE
22 JUST PERCHED ON A CHAIR. I WOULD LOVE TO TURN TO
23 THE FAMILIES AND EXPERTS IN OUR AUDIENCE FIRST.

24 CHAIRMAN THOMAS: OKAY. CAN I REMIND
25 EVERYBODY THAT WE ARE GOING TO HAVE A LOT OF PUBLIC

BARRISTERS' REPORTING SERVICE

1 COMMENT, AND WE WOULD LIKE TO KEEP ALL COMMENTS TO
2 THREE MINUTES IN LENGTH. START FIRST WITH MR. REED.

3 MR. REED: JUST AN APPRECIATION THAT THIS
4 HOPEFULLY WILL BE GIVEN A CHANCE FOR ADDITIONAL
5 VIEWING BECAUSE IT IS A SINGULARLY VICIOUS DISEASE
6 WHICH NOT ONLY RUINS MANY PEOPLE'S LIVES, BUT ALSO
7 THE FAMILIES AS WELL. THANK YOU.

8 CHAIRMAN THOMAS: NEXT SPEAKER, PLEASE.
9 EACH SPEAKER PLEASE IDENTIFY THEMSELVES AT THE
10 OUTSET OF YOUR COMMENTS. THANK YOU.

11 DR. NELSON: THANK YOU VERY MUCH FOR THE
12 OPPORTUNITY AND THE PREVIOUS DISCUSSION. WE FOUND
13 IT VERY INTRIGUING TO HAVE OUR OWN SCIENCE DISCUSSED
14 BY ALL OF YOU. I'M STANLEY NELSON. I'M A PHYSICIAN
15 SCIENTIST AT UCLA, AND I'M OBVIOUSLY LOSING MY
16 VOICE. SO WE'LL SEE IF I CAN EVEN LAST THREE
17 MINUTES.

18 BUT ONE OF THE THINGS THAT DOES
19 DISTINGUISH OUR PROPOSAL IS ACTUALLY A VERY HIGH
20 LIKELIHOOD OF MOVING THROUGH THE SUCCESSFUL IND
21 PROCESS AND INTO CLINICAL TRIALS FOR A SUBSTANTIAL
22 PEDIATRIC DISEASE WITH A LARGE UNMET NEED. WE
23 APPRECIATE THAT THE GRANTS WORKING GROUP RECOGNIZED
24 THIS AND THE SUGGESTION TO TRY TO MOVE US TO TIER I.
25 WE APPRECIATE THIS DISCUSSION HERE AS WELL.

BARRISTERS' REPORTING SERVICE

1 WE WANTED TO SAY A FEW THINGS IN SUPPORT
2 OF THE PROPOSAL BECAUSE WE THINK IT'S USEFUL IN THE
3 CONTEXT OF SOME OF THE COMMENTS THAT HAVE BEEN MADE
4 ALREADY. FIRST OF ALL, TEAM LEADERS FROM UCLA ARE
5 PREPARED TO DISCUSS ANY ASPECTS. WE UNDERSTAND THAT
6 THIS IS NOT A FORUM FOR A SCIENTIFIC DISCUSSION; BUT
7 IF YOU HAVE QUESTIONS, THEY CAN BE DIRECTED TO ANY
8 OF US THAT ARE HERE.

9 DR. MACELI AND I ARE CO-PI'S OF THE
10 PROPOSAL. WE'RE ALSO THE PARENTS OF AN 11-YEAR-OLD
11 BOY WITH DUCHENNE. SO WE CAN ALSO SHARE WITH YOU
12 OUR UNIQUE PERSPECTIVE OF THE STATE OF THE FIELD,
13 URGENCY OF THE UNMET NEED. SOME OF THE COMMENTS
14 WERE ABOUT THIS IS A RELATIVELY SLOW PROCESS. I
15 GUARANTEE YOU THERE ARE NOT TWO PEOPLE ON THE PLANET
16 MORE COMMITTED TO MOVING THIS FORWARD.

17 THE URGENCY OF THE UNMET NEED IS NOT LOST
18 ON MANY IN THE FAMILIES. AND I THINK YOU'LL HEAR
19 SOME OF THE COMMENTS FROM THAT AS WELL. AND ALSO WE
20 CAN SHARE OUR PERSPECTIVE ON THE OVER 1,000 FAMILIES
21 AFFECTED BY THIS DISEASE DIRECTLY IN THE STATE OF
22 CALIFORNIA ALONE, MAKING IT ONE OF THE MOST COMMON
23 GENETIC DISEASES.

24 OUR COMBINATION THERAPY, AS YOU HEARD, IS
25 A COMBINATION OF ANTISENSE OLIGONUCLEOTIDE BEING

BARRISTERS' REPORTING SERVICE

1 DEVELOPED ACTIVELY AND IN HUMAN CLINICAL TRIALS BY
2 SAREPTA THERAPEUTICS AND AN ALREADY APPROVED FDA
3 DRUG THAT WE DISCOVERED AT UCLA USING
4 PATIENT-DERIVED STEM CELL MODELS IN OUR
5 LABORATORIES.

6 THE FURTHER CLINICAL DEVELOPMENT,
7 PRECLINICAL DEVELOPMENT, AND ACTUALLY IN FORMING THE
8 CLINICAL TRIAL DESIGN RELIES ON AN ONGOING USE OF
9 STEM CELLS FOR THIS PROJECT. SO WE ACTUALLY FEEL
10 THIS PROVIDES A MODEL FOR HOW WE'RE GOING TO MOVE
11 FORWARD WITH PERSONALIZED GENETIC MEDICINE FOR RARE
12 DISEASES. IT'S NOT ONLY RELEVANT TO DUCHENNE. IT'S
13 RELEVANT TO A WHOLE SERIES OF OTHER RARE AND, WE
14 THINK, ULTIMATELY COMMON DISEASES WHICH WILL PARTLY
15 BE COMPOSED OF AN AGGREGATE SET OF RARE DISEASES.

16 THERE WAS ONE REVIEWER COMMENT WHICH CUTS
17 TO THE PHASE II-B DATA INDICATING THAT THIS PROGRAM
18 SHOULDN'T MOVE FORWARD UNTIL THE ANTISENSE
19 OLIGONUCLEOTIDE ALONE WAS PROVEN TO HAVE NO
20 FUNCTIONAL BENEFIT. I THINK THIS MAY HAVE BEEN
21 CONFOUNDED NOW THAT WE HEAR ABOUT THE TWO DIFFERENT
22 ALTERNATE CHEMISTRIES MOVING FORWARD. WE APPRECIATE
23 THAT DISCUSSION. THERE IS, INDEED, EXCITING PHASE
24 II-B DATA THAT WAS RELEASED INDEPENDENTLY OF US. WE
25 HAD NO IDEA THAT THIS WAS COMING AT THIS TIME. I

BARRISTERS' REPORTING SERVICE

1 THINK THESE ARE ISSUES THAT HAVE TO DO WITH
2 CORPORATE RELEASE OF INFORMATION IN AN APPROPRIATE
3 WAY TO SHAREHOLDERS AND THE PUBLIC.

4 THIS EXCITING PHASE II-B DATA DOES INDEED
5 DEMONSTRATE -- WE FEEL LIKE WE ADDRESS IN ALL OF OUR
6 COMMENTS ALL OF THE REVIEWER CONCERNS THAT WERE
7 PROVIDED TO US FROM THIS STATEMENT, PARTICULARLY THE
8 FACT, AND ECHO DR. PIZZO'S COMMENTS, CARDIAC
9 ENDPOINTS ARE NOT THE CRITICAL COMPONENT HERE. THE
10 MOUSE MODEL THAT WE PROPOSE, THE ANIMAL MODEL THAT
11 WAS CALLED INTO QUESTION IS THE EXACT ANIMAL MODEL
12 THAT'S BEEN USED BY PROSENSA, GSK, SAREPTA, THE
13 MAJOR ANTISENSE OLIGONUCLEOTIDE COMPANIES, TO MOVE
14 THIS DRUG AND THE STRATEGY THROUGH TO IND STUDIES
15 FOR DUCHENNE. THEREFORE, WE HAVE A STRONG BASIS TO
16 STAND ON FOR THAT. THANK YOU.

17 CHAIRMAN THOMAS: OTHER SPEAKERS ON THIS
18 ISSUE?

19 MS. FURLONG: GOOD MORNING. MY NAME IS
20 PAT FURLONG. I'M PRESIDENT OF PARENT PROJECT
21 MUSCULAR DYSTROPHY, A NATIONAL NONPROFIT FOCUSED ON
22 DUCHENNE MUSCULAR DYSTROPHY. I'M VERY GRATEFUL FOR
23 THE OPPORTUNITY TO SPEAK TO YOU ABOUT THIS
24 COMPELLING NEED.

25 DUCHENNE CAN AFFECT ANY FAMILY. ONE IN

BARRISTERS' REPORTING SERVICE

1 10,000 EGG AND SPERM HAVE THE MUTATION AS A NEW
2 EVENT. I AM A DE NOVO FIRST-TIME CARRIER IN MY
3 FAMILY WITH NO FAMILY HISTORY. IN 1984 MY TWO SONS
4 WERE DIAGNOSED WITH DUCHENNE MUSCULAR DYSTROPHY.
5 THEY FOLLOWED THE NORMAL TRAJECTORY OF THE ILLNESS,
6 GETTING STRONGER BETWEEN THE AGES OF FIVE AND SEVEN,
7 LOSING ALL STRENGTH BY THE TIME THEY WERE 13, AND
8 DEAD AT 15 AND 17.

9 TODAY YOU HAVE AN EXTRAORDINARY
10 OPPORTUNITY TO REREVIEW THIS PROPOSAL TO THINK ABOUT
11 WHAT THIS IMPACT MIGHT BE ON THIS HIGH UNMET MEDICAL
12 NEED. OVER THE COURSE OF THE DIAGNOSIS TO THE DEATH
13 OF MY SONS, MY HUSBAND, A PHYSICIAN, AND I, A NURSE,
14 COULD NO LONGER WORK, BUT CARED FOR MY SONS. WE
15 SPENT \$3 MILLION TO REACCOMMODATE OUR HOME BY VANS,
16 WHEELCHAIRS, LOSS OF INCOME, AND REALLY A LOSS OF A
17 FAMILY. MY TWO DAUGHTERS CONTINUE TO HAVE A RIPPLE
18 EFFECT OF THIS DISEASE NOW EVEN 16 YEARS LATER.

19 I ASK THAT YOU CONSIDER THIS PROPOSAL. I
20 ASK THAT YOU RECOGNIZE THAT YOU CAN GALVANIZE THE
21 FIELD. YOU CAN CHANGE THE COURSE OF AN ILLNESS FOR
22 A SUBSET OF BOYS AND POTENTIALLY FOR A GREAT NUMBER
23 OF BOYS, A THOUSAND IN CALIFORNIA AND 250,000 IN THE
24 WORLD. CALIFORNIA IS THE MECCA OF SCIENTIFIC
25 RESEARCH.

BARRISTERS' REPORTING SERVICE

1 WE AS AN ORGANIZATION FUND PROJECTS AT
2 UCLA, UC DAVIS, AND STANFORD. WE, I'M FROM OHIO,
3 AND OUR OFFICE IN HACKENSACK, NEW JERSEY, LOOK TO
4 YOU BECAUSE WE'D LIKE NOT TO BURY ANY MORE OF THESE
5 CHILDREN. THANK YOU.

6 MS. MILLER: THANK YOU FOR YOUR TIME THIS
7 MORNING. MY NAME IS DEBRA MILLER, AND I'M THE
8 FOUNDER AND PRESIDENT OF CURE DUCHENNE, A NATIONAL
9 NONPROFIT ORGANIZATION WITH HEADQUARTERS IN NEWPORT
10 BEACH, CALIFORNIA.

11 OVER THE PAST NINE YEARS, WE HAVE FUNDED
12 VARIOUS RESEARCH PROJECTS THAT TARGET MECHANISMS
13 INVOLVED IN THIS DEVASTATING DISEASE, INCLUDING
14 EXON-SKIPPING THERAPIES FOR DUCHENNE. THE TEAM AT
15 UCLA IS HIGHLY COMMITTED TO DUCHENNE RESEARCH AND
16 CLINICAL CARE THROUGH THE ESTABLISHMENT OF THE
17 CENTER FOR DUCHENNE MUSCULAR DYSTROPHY. AND WE'RE
18 CONFIDENT THAT THEIR KNOWLEDGE OF THE FIELD AND
19 EXPERTISE IN LEADING THE DEVELOPMENT OF THE PROPOSED
20 COMBINATION THERAPY. IN FACT, CURE DUCHENNE HAS
21 HELPED RAISE OVER \$800,000 FOR UCLA.

22 AS A CALIFORNIA RESIDENT WHO PAYS TAXES,
23 EMPLOYS PEOPLE, AND DIRECTS SUBSTANTIAL FUNDING TO
24 CALIFORNIA INSTITUTIONS, I'M GRATEFUL TO THE CIRM
25 FOR CONSIDERING FUNDING OF THIS EXTRAORDINARY

BARRISTERS' REPORTING SERVICE

1 PROPOSAL THAT MAY GREATLY IMPROVE THE QUALITY OF
2 LIFE FOR MY SON AND THE MANY OTHERS AFFECTED BY
3 DUCHENNE IN CALIFORNIA AND AROUND THE WORLD.

4 MY SON HAWKIN WAS DIAGNOSED TEN YEARS AGO
5 AT THE AGE OF FIVE. THERE HAD NEVER BEEN AN
6 OCCURRENCE OF DUCHENNE IN MY FAMILY, AND I'M NOT A
7 CARRIER OF THE MUTATION. AT THE AGE OF 15, MY SON
8 IS UNUSUAL IN THAT HE IS STILL ABLE TO WALK. BUT WE
9 ARE SEEING THE SIGNS OF WEAKNESS ACCELERATING.
10 EVERY YEAR HE IS AWARE THAT HE IS ABLE TO DO LESS
11 THAN THE YEAR BEFORE. IT IS HEARTBREAKING TO WATCH.

12 THE PROPOSAL FOR COMBINATION THERAPY HOLDS
13 A PROMISE TO EITHER IMPROVE ANTISENSE EXON SKIPPING
14 OR MAKE IT MORE COST EFFECTIVE. FOR HAWKIN THIS IS
15 AN IMPORTANT POINT IN THIS DISEASE PROGRESSION.
16 HAWKIN IS A TALENTED PHOTOGRAPHER AND VIDEO MAKER
17 AND A STRAIGHT A STUDENT. IF HE IS ABLE TO MAINTAIN
18 SOME MUSCLE FUNCTION, EVEN IF HE IS WHEELCHAIR
19 BOUND, MEANS THAT HE'LL BE ABLE TO FUNCTION IN
20 SCHOOL BY USING A COMPUTER, BETTER PURSUE HIS
21 HOBBIES, AND HAVE A BETTER ABILITY TO HAVE A CAREER,
22 EARN AN INCOME. HE WILL BE ABLE TO DRIVE, HE'LL BE
23 ABLE TO GIVE HIS MOM A HUG AT GRADUATION, AND JUST
24 MAYBE SOMEDAY HE'LL BE ABLE TO HOLD A CHILD IN HIS
25 ARMS.

BARRISTERS' REPORTING SERVICE

1 IT IS WELL-KNOWN IN THE FIELD THAT
2 ANTISENSE IS NOT RESCUING DYSTROPHIN IN THE HEART OF
3 DUCHENNE BOYS. BUT EVEN IF IT DOES NOT,
4 ADVANCEMENTS IN CARDIAC CARE HAVE ALREADY IMPROVED
5 CARDIAC FUNCTION IN DUCHENNE. AND I BELIEVE THAT
6 WITH IMPROVED SKELETAL MUSCLE, THE HEART ISSUES CAN
7 BE MANAGED WITH VARIOUS INTERVENTIONS.

8 HAWKIN IS OUR ONLY CHILD, AND I ASK YOU
9 FROM THE BOTTOM OF THIS MOM'S HEART TO FUND THIS
10 IMPORTANT PROJECT THAT CAN TRANSFORM ONE OF THE MOST
11 DEVASTATING DISEASES THAT CAN OCCUR IN ANY FAMILY.
12 THANK YOU VERY MUCH.

13 MS. CAMERON: THANK YOU FOR THE
14 OPPORTUNITY TO TELL YOU ABOUT THE IMPACT THE DISEASE
15 HAS HAD ON MY FAMILY. MY NAME IS MINDY CAMERON.
16 I'M FROM ORANGE COUNTY. I HAVE TWO SONS, AND MY
17 YOUNGEST, 11-YEAR-OLD CHRISTOPHER, HAS DUCHENNE
18 MUSCULAR DYSTROPHY. THREE YEARS AGO GENETIC TESTING
19 DETERMINED THAT I AM A CARRIER OF THE DISEASE THAT
20 IS AFFECTING MY SON. I'M THE FIRST KNOWN CARRIER IN
21 MY FAMILY.

22 CHRISTOPHER WAS DIAGNOSED EARLY IN LIFE,
23 AND SO FOR THE PAST DECADE WE'VE LIVED WITH THE
24 KNOWLEDGE THAT THIS DEVASTATING DISEASE IS GOING TO
25 TAKE ALL OF HIS ABILITIES AWAY AS HE GROWS INTO A

BARRISTERS' REPORTING SERVICE

1 TEENAGER. ALL INDICATIONS ARE THAT CHRIS IS ABOUT
2 TO LOSE HIS ABILITY TO WALK, AND WE ARE INCURRING
3 MASSIVE EXPENSE AS WE PREPARE FOR THIS TRANSITION.
4 CHRISTOPHER DOES NOTICE THAT HE'S LOSING HIS
5 PHYSICAL CAPABILITIES, AND WE DO WHAT WE CAN TO
6 ASSURE HIM THAT HE WILL STILL HAVE MANY
7 OPPORTUNITIES IN LIFE. HOW DO YOU TELL AN
8 11-YEAR-OLD BOY THAT HE'LL BECOME COMPLETELY
9 DEPENDENT ON YOU BY THE TIME HE'S 20?

10 WE'VE NOT YET DISCUSSED WITH CHRIS THAT
11 HIS CONDITION IS CURRENTLY CONSIDERED 100 PERCENT
12 FATAL USUALLY BY AGE 30. WE CONTINUE TO HOPE THAT
13 THIS IS NOT THE CASE FOR OUR SON. I'M A VERY ACTIVE
14 ADVOCATE IN THE DUCHENNE COMMUNITY, AND MY SON HAS
15 BEEN A WILLING PARTICIPANT IN FIVE CLINICAL STUDIES
16 AND TRIALS. IT IS MY HOPE THAT THE WORK REPRESENTED
17 IN THIS GRANT WILL BE A MAJOR STEP IN OUR SEARCH FOR
18 TREATMENTS FOR DUCHENNE. THANK YOU.

19 MS. SALISBURY: HI. MY NAME IS REBECCA
20 SALISBURY. I LIVE IN BERKELEY, CALIFORNIA. MY SON
21 MILO HAS DUCHENNE MUSCULAR DYSTROPHY. HE WAS
22 DIAGNOSED WHEN HE WAS SIX JUST AT THE TIME WHEN WE
23 THOUGHT HIS INDEPENDENCE WOULD BEGIN. HIS LIFE AND
24 OURS HAVE BEEN PERMANENTLY ALTERED.

25 HE IS NOW 11. HE USES A WHEELCHAIR AND

BARRISTERS' REPORTING SERVICE

1 CANNOT WALK OR STAND. HE CANNOT REACH HIS HANDS
2 ABOVE HIS SHOULDERS. EVERY MOVE HE MAKES EXHAUSTS
3 HIM AND IS CAUSE FOR PATIENCE. HE CANNOT DO ANY OF
4 HIS OWN PERSONAL BODY CARE. FOR A PERSON ENTERING
5 ADOLESCENCE, THIS MEANS HE CANNOT HAVE THE SAME LIFE
6 AS HIS PEERS. HIS IS A LIFE OF FRUSTRATION. GOING
7 TO A FRIEND'S HOUSE, GETTING A BOOK OFF THE SHELF,
8 OR EVEN OPENING THE FRONT DOOR OF HIS OWN SCHOOL ARE
9 INCREDIBLY DIFFICULT OR IMPOSSIBLE, NOT TO MENTION
10 THE COMPLICATIONS OF WHAT SHOULD BE A JOY, FIELD
11 TRIPS, PARTIES, OR TRAVEL.

12 HE IS HARDLY EVER WITHOUT AN ADULT
13 HOVERING NEARBY WAITING TO ASSIST HIM. HE IS STARED
14 AT WHEREVER HE GOES, DESPITE BEING A HANDSOME,
15 INTELLIGENT, AND FRIENDLY PERSON. MILO IS AWARE OF
16 WHAT'S HAPPENING TO HIM, THAT THINGS GROW MORE
17 DIFFICULT EVERY DAY, AND HE HANDLES IT WITH A QUIET
18 GRACE.

19 WHAT KEEPS OUR FAMILY GOING IS THE IDEA
20 THAT A TREATMENT WILL COME ALONG IN TIME TO CHANGE
21 HIS ABILITY TO LIVE A FULL, LONG, AND COMPLETE LIFE
22 WITH LOVE, WORK, HIGHER EDUCATION, HOME, AND
23 CHILDREN. THIS IS OUR DREAM FOR HIM, TO BE ABLE TO
24 MOVE INTO THE WORLD UNINHIBITED AND TO ENJOY THE
25 FRUITS OF HIS OWN LABOR. EACH INCREMENTAL MOVE IN

BARRISTERS' REPORTING SERVICE

1 THAT DIRECTION IS ONE WE WAIT FOR WITH BAITED
2 BREATH.

3 HIS HEART IS TREATED, BUT HIS BODY IS NOT.
4 ANY DAY TREATMENT POSSIBILITIES ARE DELAYED IS A DAY
5 TOO LATE FOR SOMEONE'S CHILD. LIFE WITH DUCHENNE
6 MAY BE A DEATH BY A THOUSAND CUTS, BUT WE HOLD OUT
7 THE HOPE THAT SOMETHING SOMEDAY WILL CHANGE.

8 SOON I ENVISION DUCHENNE WILL NO LONGER BE
9 CONSIDERED A FATAL DIAGNOSIS, BUT INSTEAD THOUGHT OF
10 AS A TREATABLE CHRONIC CONDITION. I LOOK FORWARD TO
11 THAT DAY. I HOPE YOU CAN SEE THE URGENT NEED TO
12 MOVE FORWARD WITH SUPPORTING TREATMENTS TO AUGMENT
13 THE SUCCESSES OF THE EXON-SKIPPING TRIALS. OUR
14 FAMILY AND OUR COMMUNITY ARE WAITING FOR A CHANGE.

15 MR. BALDY: HI. MY NAME IS DAVID BALDY.
16 I'M THE PARENT OF A YOUNG MAN JOSEPH WHO SUFFERS
17 FROM DUCHENNE. I'LL MAKE MY COMMENTS BRIEF. I JUST
18 WANT TO IMPRESS UPON THE BOARD TO CONSIDER THAT
19 WE'RE NOT JUST TALKING ABOUT AVOIDING WHEELCHAIRS.
20 OF COURSE, WE'D ALL LOVE THESE BOYS TO JUMP OUT OF
21 THEIR CHAIRS SOMEDAY. WE'RE TALKING ABOUT TIME. MY
22 SON HAS REACHED THAT POINT WHERE HE'S NO LONGER
23 WORRIED ABOUT WHETHER HE CAN PLAY BASEBALL WITH HIS
24 FRIENDS OR DO THOSE SORTS OF THINGS. HE JUST WANTS
25 TO HAVE THE TIME TO GROW UP AND TO BE A MAN.

BARRISTERS' REPORTING SERVICE

1 AND THE ANTISENSE TECHNOLOGY HAS CLEARLY
2 DEMONSTRATED THAT IT IS THE MOST ADVANCED THAT WE
3 HAVE TODAY, THAT HAS PERFORMED THE MOST AMAZING
4 THINGS. AND TO HEAR THE PRESS RELEASE THIS WEEK
5 WAS, I THINK, FOR ALL THE PARENTS HERE SOMETHING
6 WE'VE BEEN WAITING FOR FOR QUITE SOME TIME. SO I
7 URGE THE BOARD TO CONSIDER THAT THE TIME IS OF THE
8 ESSENCE. THANK YOU.

9 MS. COSGROVE-GARCIA: THANK YOU FOR HAVING
10 A CHANCE TO TALK. I'M THE PARENT OF A 20-YEAR-OLD.
11 HE'S OUR YOUNGEST SON. I'M JULIE COSGROVE-GARCIA
12 FROM SACRAMENTO, CALIFORNIA. NICHOLAS WAS DIAGNOSED
13 ALMOST TEN YEARS AGO. MY HUSBAND AND I WERE TOLD TO
14 GO HOME AND ENJOY HIM. THERE'S NOTHING WE CAN DO.
15 WE WERE OFFERED NOTHING.

16 I'M NOT A CARRIER. IT IS THE FIRST TIME
17 IT OCCURRED IN OUR FAMILY. SO WE HAVE NO FAMILY
18 HISTORY. THIS CAN HAPPEN TO ANYONE. I'M 56 YEARS
19 OLD. I'VE WORKED FOR 33 YEARS. MY HUSBAND IS 62,
20 AND WE'RE BOTH FULLY EMPLOYED. OUR SON IS STILL
21 ABLE TO DO THINGS LIKE CRAWL AROUND THE HOUSE. AT
22 20 YEARS OF AGE HE CAN CRAWL, AND THAT'S AMAZING
23 THAT HE CAN DO THAT HAVING DUCHENNE. WE'RE GRATEFUL
24 FOR THAT AND WE COUNT OUR BLESSINGS FOR THAT. WE
25 WANT TO HOLD HIM AND HAVE HIM LONGER. WE WANT HIM

BARRISTERS' REPORTING SERVICE

1 TO BE ABLE TO THROW SOMETHING AT US. HE CAN STILL
2 DO THIS AND, AGAIN, THAT IS A BLESSING.

3 OUR FAMILY, WE'VE SPENT THOUSANDS OF
4 DOLLARS EACH YEAR FOR DURABLE MEDICAL EQUIPMENT,
5 TRAVELING, WHATEVER WE CAN DO TO OPTIMIZE HIS CARE,
6 TO OPTIMIZE HOPE, TO TRY TO HELP HIM AND MAKE SURE
7 HE HAS THE BEST OF CARE. WE HAVE THREE OTHER
8 CHILDREN AS WELL. AND WHEN HE WAS DIAGNOSED, OUR
9 WORLDS CHANGED FOREVER AS A FAMILY.

10 I HAD -- AT ONE TIME MY DAUGHTER SAID TO
11 ME WHEN I WAS TALKING ON THE PHONE TO ANOTHER
12 PARENT, SHE SAID TO ME, "I'M RIGHT HERE. DON'T YOU
13 HEAR ME" BECAUSE SHE KNEW I WAS TALKING ABOUT OUR
14 SON. I JUST WANT TO SAY THAT NICHOLAS IS SHY AND
15 HE'S TAKING COLLEGE COURSES. HE'S TAKING A BREAK
16 RIGHT NOW. HIS HOPE IS TO CONTINUE. HE WILL
17 CONTINUE TO GO, BUT HE IS LOSING ABILITY. AND AS HE
18 LOSES HIS ABILITY, HE WILL HAVE TO LEARN TO ADJUST
19 SO SOMEBODY CAN HELP TAKE CARE OF HIM. RIGHT NOW HE
20 CAN USE THE BATHROOM BY HIMSELF. BUT AS A SHY YOUNG
21 MAN OF TWENTY, TO HAVE SOMEONE ASSIST HIM IN THIS
22 PROCESS WILL BE DEVASTATING. AT EACH MOMENT, AT
23 EACH TIME WHEN HE LOSES CAPABILITY, IT BREAKS OUR
24 HEARTS. BUT ALSO MY HUSBAND AND I ARE OLDER, AND AS
25 WE GET OLDER, I WONDER WHO WILL TAKE CARE OF MY SON

BARRISTERS' REPORTING SERVICE

1 WHEN WE ARE NO LONGER THERE.

2 ALSO, WILL IT BANKRUPT US? WORKING 33
3 YEARS IN OUR LIVES AND TRYING TO REFINANCE, TO
4 REBUILD OUR HOUSE TO MAKE CHANGES, IT'S DEVASTATING
5 NOT ONLY EMOTIONALLY ON ALL OF THE FAMILY, BUT
6 FINANCIALLY. AND ANY TYPE OF RESEARCH IN THE
7 DIRECTION I JUST LEAVE AND WANT TO THANK YOU FOR THE
8 OPPORTUNITY. THANK YOU.

9 MS. MARTIN: MY NAME IS AMY MARTIN. I'M
10 FROM LOS ANGELES. I HAVE THREE CHILDREN. MY
11 YOUNGEST IS TEN, WILL. HE HAS DUCHENNE. I
12 APPRECIATE YOUR TAKING THE TIME TO HEAR ALL OF OUR
13 STORIES, AND I CAN EMPATHIZE WITH HOW DIFFICULT IT
14 MUST BE TO DECIDE AGAINST ALL THESE VERY IMPORTANT
15 DISEASES. I'VE LOST MANY FRIENDS TO SOME OF THE
16 ONES YOU'RE THINKING ABOUT TODAY, AND I'VE STOOD BY
17 PARENTS WHEN THEY'VE HAD TO BURY THEIR SON. I DON'T
18 HAVE \$3 MILLION, AND I CAN'T IMAGINE BURYING MY OWN
19 SON. THANK YOU.

20 CHAIRMAN THOMAS: IS THERE A SPEAKER ON
21 THE PHONE?

22 MR. SHESTACK: IS THE FERRAR FAMILY, IS
23 THAT THEIR NAME? I WOULD JUST LIKE TO SAY THAT MY
24 OFFICE HAS BEEN NOTICED FOR FIVE YEARS, AND THIS IS
25 THE FIRST TIME. THIS IS AN AMAZING OUTPOURING FROM

BARRISTERS' REPORTING SERVICE

1 THIS COMMUNITY. THIS IS THE FIRST TIME ANYONE HAS
2 AVAILED THEMSELVES TO COME TO MY OFFICE, SO I WAS
3 VERY GLAD IT COULD BE OPEN TO THEM. SO IF YOU COULD
4 SAY WHATEVER YOU HAD TO SAY.

5 MR. FERRAR: IF YOU CAN HEAR ME FINE, I'LL
6 CONTINUE HERE. THANK YOU FOR THE OPPORTUNITY TO
7 SPEAK TODAY FROM YOUR SATELLITE OFFICE IN LOS
8 ANGELES WHERE WE RESIDE. MY WIFE'S NAME IS ANGEL
9 FERRAR, AND MY NAME IS BRUCE FERRAR. AND WE HAVE
10 TWO-YEAR-OLD TWINS JASON AND MELINA WHO ARE BOTH
11 AFFECTED BY DUCHENNE MUSCULAR DYSTROPHY.

12 WE ARE HERE TO ADD OUR VOICES TODAY WITH
13 ALL OF THE FAMILIES AFFECTED BY DUCHENNE AND THE
14 CLOCK IS TICKING OVER THE HEADS OF OUR CHILDREN.

15 THERE IS GREAT URGENCY FOR SOLUTIONS, AND
16 THE ANSWER MAY NOT BE FOUND WITH THERAPY ALONE.
17 UCLA'S WORK WITH COMBINED FDA-APPROVED SMALL
18 MOLECULE AND EXON-SKIPPING AGENTS SHOWS AN AMAZING
19 POTENTIAL IN THE LABORATORY. WE RESPECTFULLY ASK
20 THAT YOU SUPPORT THIS PROMISING RESEARCH SO WE CAN
21 GIVE A CHANCE FOR SCIENCE TO SAVE OUR CHILDREN'S
22 LIVES.

23 IN OCTOBER 2009 WE WERE VERY EXCITED WHEN
24 OUR DOCTOR ANNOUNCED THAT OUR EFFORTS TO CONCEIVE
25 WERE SUCCESSFUL. WE WERE TOLD WE WOULD BE HAVING

BARRISTERS' REPORTING SERVICE

1 TWINS. DAY BY DAY WE WATCHED THE PREGNANCY
2 CAREFULLY AND MADE SURE THAT BOTH OF THE FETUSES
3 WERE HEALTHY. MY WIFE GAVE BIRTH ON MAY 20, 2010,
4 TO THE MOST WONDERFUL BABIES IN THE WORLD, AND WE
5 DID EVERYTHING POSSIBLE TO RAISE THEM RIGHT FROM
6 THAT POINT.

7 IN MARCH OF THIS YEAR WE WERE INTRODUCED
8 TO A THREAT THAT WE COULD NEVER IMAGINE. BOTH OF
9 OUR CHILDREN WERE DIAGNOSED WITH THE MUTATION THAT
10 CAUSES DUCHENNE. JASON IS SHOWING SYMPTOMS, AND OUR
11 PHYSICIANS ARE CONCERNED THAT HIS CONDITION IS
12 AGGRESSIVE. HIS TWIN SISTER MELINA IS SHOWING SIGNS
13 THAT SHE MAY BE A MANIFESTED CARRIER. ALL OF OUR
14 LIVES ARE FOREVER CHANGED. OUR DREAMS ARE RUNNING
15 ON THE BEACH, BEING SOCCER PARENTS, AND FINDING
16 THOSE TOGETHER ARE ALL BUT GONE. UNLESS SIGNIFICANT
17 ADVANCES IN THERAPIES ARE NOT ACTUALLY PUT INTO
18 PRACTICE, OUR CHILDREN'S FUTURE WILL INCLUDE
19 WHEELCHAIRS AND A LIFE SPAN TERMINATED AT A YOUNG
20 AGE.

21 WE NEED TO USE OUR TIME THE BEST WAY
22 POSSIBLE. OUR SON, LIKE EVERY CHILD AFFECTED BY
23 DUCHENNE, NEEDS HELP TO LIVE LIFE THE WAY EACH ONE
24 OF US DESERVES TO LIVE. WE ARE RESPECTFULLY ASKING
25 YOU TO HELP OUR CHILDREN AND ALL THE INNOCENT YOUNG

BARRISTERS' REPORTING SERVICE

1 VICTIMS BY SHOWING FAITH IN THE UCLA TEAM. WE KNOW
2 VERY WELL THAT THEY HAVE A STRONG, ETHICAL,
3 SCIENTIFIC, AND EMOTIONAL DRIVE TO TREAT AND CURE
4 DUCHENNE. THE COMBINATION THERAPY TO ENHANCE
5 ANTISENSE MEDIATED EXON SKIPPING THEY PROPOSE IS
6 VIABLE.

7 TAKING A BECKERS-LIKE SOLUTION AND USING
8 UCLA'S APPROACH TO TURN IT INTO SOMETHING MUCH
9 CLOSER TO A CURE FOR DUCHENNE WILL BE THE ANSWER TO
10 OUR PRAYERS. YOUR COMMITTEE HAS THE POTENTIAL TO
11 MAKE THIS A REALITY. PLEASE FULLY SUPPORT THIS
12 RESEARCH PROPOSAL. THANK YOU.

13 CHAIRMAN THOMAS: ARE THERE ANY FURTHER
14 COMMENTS IN LOS ANGELES? ARE THERE ANY COMMENTS BY
15 MEMBERS OF THE BOARD WHO ARE JOINING US BY PHONE?

16 DR. LUBIN: I JUST, FIRST OF ALL, WOULD
17 LIKE TO THANK ALL THE PARENTS WHO CAME HERE AND GAVE
18 US THIS DESCRIPTION OF THIS DISEASE. AS A
19 PEDIATRICIAN, I'VE SEEN CHILDREN WITH THIS DISEASE.
20 AND I WANT THEM ALL TO KNOW ALSO THAT THE BOARD
21 UNDERSTANDS HOW DIFFICULT THE CHALLENGES THAT THEY
22 FACE AND THEIR CHILDREN FACE AND THE FEARS THAT THEY
23 HAVE, AND THAT WE'RE GOING TO DO OUR BEST TO SUPPORT
24 RESEARCH THAT WE FEEL IS IN OUR PURVIEW AND IS THE
25 BEST RESEARCH POSSIBLE.

BARRISTERS' REPORTING SERVICE

1 I JUST WANT TO ALERT THEM THAT IF A
2 DECISION ULTIMATELY IS NOT MADE TO SUPPORT THIS,
3 IT'S NOT BECAUSE WE'RE NOT INTERESTED IN A CURE FOR
4 MUSCULAR DYSTROPHY.

5 CHAIRMAN THOMAS: SENATOR TORRES.

6 MR. TORRES: I WANTED TO MAKE SURE THAT WE
7 WERE AWARE THAT THE MOTION THAT I MADE IN RESPECT TO
8 ALLOWING DR. TROUNSON AND JEFF SHEEHY TO REVIEW THIS
9 PROPOSAL, THEIR RECOMMENDATIONS WILL BE BACK
10 SEPTEMBER 6TH TO OUR BOARD. IN OTHER WORDS, 41 DAYS
11 FROM TODAY WE WILL MAKE A FINAL VOTE ON THIS
12 RESEARCH BASED UPON THE REVIEW OF NEW INFORMATION
13 THAT THEY HAVE ACQUIRED.

14 I ALSO WANT TO ASSOCIATE MY REMARKS WITH
15 DR. LUBIN. WE ARE ALL VERY MOVED BY THE PARENTS WHO
16 SPOKE TODAY, AND WE TREASURE WHAT YOU HAVE GONE
17 THROUGH. AND I KNOW I SPEAK FOR EVERY MEMBER OF
18 THIS BOARD AND EVERY STAFF MEMBER AT CIRM. THEY ARE
19 ALL SO VERY DEDICATED TO MAKE SURE THAT WE PROVIDE
20 THE BEST SCIENCE TO GET TO THESE CURES AS SOON AS WE
21 CAN. SO I WANT TO THANK YOU ALL FOR COMING.

22 (APPLAUSE.)

23 MR. SHESTACK: I JUST WANT TO THANK YOU,
24 ART, FOR REMINDING US WHAT THE ACTUAL MOTION IS.
25 AND IF THE CHAIR --

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: THE QUESTION HAS BEEN
2 CALLED. MR. HARRISON, DO YOU WANT TO JUST RESTATE
3 THE MOTION AND WE'LL PROCEED TO A VOTE.

4 MR. HARRISON: YES. THE MOTION IS TO
5 REFER APPLICATION NO. 5426 FOR ADDITIONAL REVIEW OF
6 NEW INFORMATION BY THE PEER REVIEW GROUP WITH
7 DIRECTION TO THE PRESIDENT AND CO-VICE CHAIR SHEEHY
8 TO DETERMINE THE MAKEUP OF THE PEER REVIEW PANEL OR
9 SUBSET OF THE PANEL AND TO BRING THE RECOMMENDATION
10 BACK TO THE BOARD FOR ITS CONSIDERATION.

11 CHAIRMAN THOMAS: MR. HARRISON, DOES THIS
12 REQUIRE A ROLL CALL VOTE?

13 MR. HARRISON: IT DOES.

14 MR. TORRES: MOTION SHOULD ALSO INCLUDE A
15 REPORT BACK TO US SEPTEMBER 6TH.

16 MR. HARRISON: I WILL ADD THAT, TO REPORT
17 BACK TO THE BOARD BY SEPTEMBER 6TH.

18 MS. BONNEVILLE: BOB PRICE.

19 DR. PRICE: YES.

20 MS. BONNEVILLE: DAVID BRENNER.

21 DR. BRENNER: YES.

22 MS. BONNEVILLE: JACOB LEVIN.

23 DR. LEVIN: YES.

24 MS. BONNEVILLE: TED KRONIRIS.

25 DR. KRONIRIS: YES.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: LEEZA GIBBONS.
2 MS. GIBBONS: YES.
3 MS. BONNEVILLE: MICHAEL GOLDBERG.
4 MR. GOLDBERG: YES.
5 MS. BONNEVILLE: SAM HAWGOOD.
6 DR. HAWGOOD: YES.
7 MS. BONNEVILLE: STEVE JUELSGAARD.
8 MR. JUELSGAARD: YES.
9 MS. BONNEVILLE: BERT LUBIN.
10 DR. LUBIN: YES.
11 MS. BONNEVILLE: MICHAEL MARLETTA. PHIL
12 PIZZO.
13 DR. PIZZO: YES.
14 MS. BONNEVILLE: CARMEN PULIAFITO. ROBERT
15 QUINT.
16 DR. QUINT: YES.
17 MS. BONNEVILLE: DUANE ROTH.
18 MR. ROTH: YES.
19 MS. BONNEVILLE: JOAN SAMUELSON.
20 MS. SAMUELSON: YES.
21 MS. BONNEVILLE: DAVID SERRANO-SEWELL.
22 MR. SERRANO-SEWELL: YES.
23 MS. BONNEVILLE: JEFF SHEEHY.
24 MR. SHEEHY: YES.
25 MS. BONNEVILLE: JON SHESTACK.

BARRISTERS' REPORTING SERVICE

1 MR. SHESTACK: YES.
2 MS. BONNEVILLE: OS STEWARD.
3 DR. STEWARD: YES.
4 MS. BONNEVILLE: JONATHAN THOMAS.
5 CHAIRMAN THOMAS: YES.
6 MS. BONNEVILLE: ART TORRES.
7 MR. TORRES: AYE.
8 MS. BONNEVILLE: KRISTINA VUORI.
9 DR. VUORI: YES.
10 CHAIRMAN THOMAS: MOTION PASSES, MR.
11 HARRISON.
12 MS. FEIT: YOU DIDN'T CALL ME, AND I VOTE
13 YES.
14 MR. HARRISON: WE'LL RETRACT THAT FROM THE
15 RECORD. MS. FEIT HAS A CONFLICT. FOR THAT REASON
16 WE DIDN'T CALL HER NAME.
17 MS. FEIT: I'M SORRY.
18 CHAIRMAN THOMAS: THANK YOU, MR. HARRISON.
19 IT'S BEEN NOTED THAT PERHAPS WE SHOULD TAKE A
20 FIVE-MINUTE BREAK. THE MOTION DOES CARRY. THANK
21 YOU. WE'LL RESUME WITH THE NEXT MOTION.
22 FIVE-MINUTE BREAK. WE NEED TO KEEP STRICTLY ON
23 SCHEDULE. THANK YOU.
24 (A RECESS WAS TAKEN.)
25 CHAIRMAN THOMAS: COULD EVERYBODY PLEASE

BARRISTERS' REPORTING SERVICE

1 TAKE YOUR SEATS? WE'RE GOING TO RECONVENE
2 MOMENTARILY. EVERYBODY PLEASE TAKE YOUR SEATS.
3 SENATOR TORRES.

4 MR. TORRES: MR. CHAIRMAN AND MEMBERS, I
5 MOVE TO MOVE ITEM NO. 5735 FOR THE SIMILAR REVIEW
6 THAT WE MOTIONED PREVIOUSLY SO THAT DR. TROUNSON AND
7 MR. SHEEHY MAY REVIEW THE NEW INFORMATION ON THIS
8 GRANT AND BRING US BACK A REPORT OF A RECOMMENDATION
9 FOR OUR SEPTEMBER 6TH BOARD MEETING.

10 DR. PRIETO: SECOND.

11 CHAIRMAN THOMAS: IT'S BEEN MOVED AND
12 SECONDED. CAN WE PLEASE HAVE A STAFF REPORT? MR.
13 HARRISON, YOU HAVE A COMMENT FIRST.

14 MR. HARRISON: YES. I JUST WANTED TO MAKE
15 ONE COMMENT. I'VE HAD DISCUSSIONS WITH SHERRY
16 LANSING, WHO'S CHAIR OF THE GOVERNANCE SUBCOMMITTEE,
17 ABOUT A PROPOSAL THAT WE PLAN TO BRING FORWARD TO
18 THE BOARD THAT WOULD REQUEST THAT MEMBERS WHO HAVE
19 CONFLICTS WITH RESPECT TO AN APPLICATION THAT'S
20 IDENTIFIED THROUGH AN EXTRAORDINARY PETITION TO
21 LEAVE THE ROOM WHILE THAT APPLICATION IS DISCUSSED.

22 THOUGH WE HAVEN'T BROUGHT THE POLICY TO
23 THE GOVERNANCE SUBCOMMITTEE YET FOR ITS
24 CONSIDERATION, MS. LANSING LEFT THE ROOM DURING THAT
25 LAST DISCUSSION BECAUSE SHE HAS A CONFLICT, NOT

BARRISTERS' REPORTING SERVICE

1 BECAUSE SHE LACKS INTEREST IN THE SUBJECT. SHE WAS
2 SORRY TO MISS IT. BUT I JUST WANTED TO MAKE CLEAR
3 IT'S A RESULT OF THE POLICY WE'VE BEEN DISCUSSING,
4 NOT FOR ANY OTHER REASONS.

5 MS. LANSING: I JUST REALLY WANT TO
6 REEMPHASIZE THAT. I GUESS I CAN NOW SAY HOW HAPPY I
7 AM THAT THE PROPOSAL PASSED AND HOW MUCH I CARE
8 ABOUT THE DISEASE TEAM AND PEOPLE WHO SUFFER FROM
9 THIS DISEASE.

10 THERE IS A PROPOSAL BEFORE THE GOVERNANCE
11 COMMITTEE, WHICH I GUESS I'M ALLOWED TO SAY I
12 SUPPORT, AND I DON'T KNOW IF THE GOVERNANCE
13 COMMITTEE WILL, THAT ANYONE WHO HAS A CONFLICT
14 DURING THESE LEAVE SO THAT NONE OF US COULD EVER BE
15 ACCUSED OF WRITING A NOTE OR LOOKING FUNNY AT
16 SOMEBODY WHO WASN'T DOING THAT. I THINK OUR
17 INTEGRITY AND THE PROCESS IS SO IMPORTANT. SO THAT
18 IS THE ONLY REASON THAT I WILL LEAVE WHEN I HAVE A
19 CONFLICT. I REALLY WANT TO MAKE IT CLEAR. IT IS
20 NOT FROM A LACK OF INTEREST OR A LACK OF CARING, AND
21 IT IS SO IMPORTANT THAT ALL OF YOU HEAR THAT. THANK
22 YOU.

23 CHAIRMAN THOMAS: THANK YOU, SHERRY. DR.
24 LUBIN.

25 DR. LUBIN: I REALLY APPRECIATE WHAT

BARRISTERS' REPORTING SERVICE

1 SHERRY SAID, AND I THINK HER RECOMMENDATION IS
2 SOMETHING WE SHOULD ALL CONSIDER. BEING ON THIS
3 BOARD FOR NOT A LONG PERIOD OF TIME, BUT IT'S ALWAYS
4 CONCERNED ME A BIT WHEN PEOPLE HAVE CONFLICTS OF
5 INTEREST AND THEY'RE STILL IN THE ROOM. MY
6 EXPERIENCE AT THE NIH IS THAT IF YOU HAVE A
7 CONFLICT, YOU'RE NOT IN THE ROOM DURING THE
8 DISCUSSION.

9 MS. LANSING: AND THAT IS THE WAY THE
10 SCIENTIFIC ADVISORY GROUP GOES. THIS IS SOMETHING
11 THAT GOVERNANCE IS GOING TO HANDLE, SO THERE'S NO
12 NEED TO TAKE AWAY FROM THE IMPORTANT BUSINESS OF THE
13 DAY, BUT THANK YOU. I JUST REALLY WANTED TO MAKE IT
14 SO CLEAR TO THE AUDIENCE.

15 CHAIRMAN THOMAS: THANK YOU, SHERRY. CAN
16 WE HAVE A BRIEF STAFF REPORT ON PROPOSAL 5735?

17 DR. FEIGAL: DR. INGRID CARAS IS GOING TO
18 BE DOING THAT.

19 DR. CARAS: THIS PROPOSAL IS FOCUSED ON
20 THE CLINICAL DEVELOPMENT OF AN ALLOGENEIC
21 CARDIAC-DERIVED STEM CELL PRODUCT INTENDED FOR USE
22 IN PATIENTS WITH HEART DYSFUNCTION FOLLOWING A
23 MYOCARDIAL INFARCTION. THE APPLICANT IS PROPOSING
24 TO CONDUCT A MIDSTAGE CLINICAL TRIAL TO DEMONSTRATE
25 BOTH SAFETY AND EFFICACY OF THE PRODUCT.

BARRISTERS' REPORTING SERVICE

1 IN GENERAL, REVIEWERS AGREED THAT THIS
2 PROGRAM IS WELL SUPPORTED BY DATA FROM A PREVIOUS
3 CLINICAL TRIAL AS WELL AS DATA FROM THREE ANIMAL
4 MODELS, EACH WITH DIFFERENT ITERATIONS OF THE
5 PRODUCT. THEY ALSO AGREED THAT THE DATA TRENDS IN
6 ANIMAL MODELS ARE INTERESTING AND THAT THE APPROACH
7 WARRANTS FURTHER TESTING. ALSO, THE CELL PRODUCTION
8 METHODS AND SCALE-UP MANUFACTURING ARE WELL THOUGHT
9 OUT AND ARE READY FOR CLINICAL DEVELOPMENT.

10 THE MAIN WEAKNESS WAS THE PROPOSED
11 CLINICAL PLAN. THERE WERE THREE MAIN PROBLEMS.
12 FIRST, THE PROPOSED CLINICAL PLAN WAS SEEN AS MAJOR
13 POTENTIAL RISK GIVEN THAT THE APPLICANT PROPOSED
14 STARTING WITH A PHASE II TRIAL WITH A CURRENT
15 CANDIDATE; BUT AT THE TIME OF THE REVIEW WAS STILL
16 AWAITING DATA FROM A PIVOTAL PRECLINICAL STUDY AND
17 HAD NOT YET FILED AN IND. ALTHOUGH THE REVIEWERS
18 SAW THE APPROACH AS PROMISING, THEY FELT THAT THE
19 PROPOSED TRIAL ATTEMPTS TO ACCOMPLISH TOO MANY STEPS
20 AT ONCE, THAT A NEW PHASE I STUDY IS WARRANTED
21 FIRST, AND THE PROPOSED CLINICAL PLAN SHOULD BE
22 RETHOUGHT.

23 SECOND, THE PHASE II STUDY AS PROPOSED IN
24 THE APPLICATION IS DESIGNED AROUND A SURROGATE
25 ENDPOINT THAT HAS NOT BEEN SHOWN TO CORRELATE WITH

BARRISTERS' REPORTING SERVICE

1 FUNCTIONAL OUTCOME MEASURES. AND REVIEWERS SAW THIS
2 AS A SERIOUS DESIGN FLAW AND FELT THAT IT WOULD NOT
3 HELP THE PROJECT IN TERMS OF MOVING FORWARD TO
4 ACHIEVE REGULATORY APPROVAL.

5 AND A THIRD CONCERN WAS THAT NEITHER OF
6 THE TWO PRIMARY LEADERS HAS RUN A TRIAL OF THIS
7 SIZE, MAKING THE INVESTMENT RISKY IN TERMS OF
8 EXPERIENCE. AND REVIEWERS STRONGLY RECOMMENDED THAT
9 AN EXPERIENCED CONTRACT RESEARCH ORGANIZATION BE
10 HIRED TO CONDUCT THE TRIAL.

11 SO BASED ON THESE CONCERNS, THE GWG CAME
12 TO A RECOMMENDATION NOT TO APPROVE THIS PROPOSAL FOR
13 FUNDING. AND I JUST WANT TO VERY BRIEFLY DESCRIBE
14 THE KEY POINTS IN THE EXTRAORDINARY PETITION THAT
15 WAS SUBMITTED, WHICH DESCRIBES A NUMBER OF
16 SIGNIFICANT ADVANCES THAT HAVE BEEN MADE SINCE THE
17 REVIEW.

18 FIRST, THE PIVOTAL PRECLINICAL STUDY WAS
19 COMPLETED AND THE DATA SUBMITTED TO FDA. COMPLETE
20 IND APPLICATION WAS SUBMITTED TO THE FDA AND HAS
21 OBTAINED APPROVAL TO MOVE FORWARD INTO THE CLINIC.

22 IN ADDITION, IMPROVEMENTS HAVE BEEN MADE
23 TO THE STUDY WHICH IS NOW DESIGNED AS A PHASE I/II
24 STUDY. AND THE APPLICANT HAS SECURED NON-CIRM
25 FUNDING TO FUND THE PHASE I PORTION.

BARRISTERS' REPORTING SERVICE

1 IN ADDITION, THE APPLICANT STATES THAT
2 THEY'VE INCORPORATED ADDITIONAL ENDPOINTS INTO THE
3 STUDY DESIGN, INCLUDING A STANDARD BIOMARKER OF
4 CARDIAC FUNCTION. THE TRIAL HAS BEEN SIMPLIFIED,
5 AND THE TEAM HAS BEEN EXPANDED AND STRENGTHENED AND
6 INCLUDES TWO CRO'S.

7 CHAIRMAN THOMAS: THANK YOU, DR. CARAS.
8 IS THERE BOARD DISCUSSION?

9 MS. LANSING: I'M VERY HAPPY TO LEAD OFF
10 WITH THIS. I THINK WHAT'S SO GREAT ABOUT CIRM IS
11 THAT WE'RE WILLING TO LOOK AT THE PROGRESS OF THINGS
12 AND COME BACK AND REEVALUATE THEM. SO THE DECISION
13 X AMOUNT OF TIME AGO HAS NOW CHANGED BECAUSE, FROM
14 WHAT I CAN UNDERSTAND, ALL OF THE PROBLEMS HAVE BEEN
15 ADDRESSED. AND THAT SHOWS THAT THIS PROCESS IS
16 REALLY WORKING.

17 SO FIRST OF ALL, LET ME SAY THAT THE
18 SCIENTIFIC ADVISORY GROUP IDENTIFIED PROBLEMS, AND
19 THEN LET ME SAY THAT THE DISEASE TEAM ANSWERED THOSE
20 PROBLEMS, WHICH I THINK IS REALLY THE BEST OF ALL OF
21 US WORKING TOGETHER.

22 I STILL REMEMBER WHEN WE HAD OUR BOARD
23 MEETING AT CEDARS HOSPITAL AND I SAW THE POTENTIAL
24 OF WHAT WAS GOING ON WITH THE HEART AND STEM CELLS.
25 AND IT WAS ONE OF THE TIMES WHEN I LEFT A MEETING SO

BARRISTERS' REPORTING SERVICE

1 ENTHUSIASTIC AND ACTUALLY THINKING THAT WE COULD
2 HAVE SOMETHING CONCRETE THAT WOULD REALLY BE AN
3 EXAMPLE OF ADVANCES MADE IN HEART DISEASE. AND
4 COMING FROM A FAMILY WHERE THAT DISEASE EXISTS,
5 EXISTING IN A FAMILY WHERE IT EXISTS, I CANNOT BEGIN
6 TO EMPHASIZE HOW IMPORTANT THIS TREATMENT COULD BE
7 FOR PEOPLE WITH HEART FAILURE, CONGESTIVE HEART
8 FAILURE, HEART ATTACKS, ANY KIND OF HEART DISEASE.

9 THE CORRECTIONS THAT THIS DISEASE TEAM HAS
10 MADE ARE EXTRAORDINARY. THEY ACTUALLY, AS THEY
11 SAID, ADDRESSED THE PHASE I/PHASE II PROBLEM.
12 THEY'VE HIRED FRANK LITVAK, WHO I THINK ALL OF US
13 KNOW AND RESPECT, TO BE THE MANAGER AND CEO OF THE
14 ORGANIZATION, AND THEY GOT FDA APPROVAL, WHICH IS, I
15 THINK, THE FIRST TIME THAT THAT'S ACTUALLY HAPPENED
16 IN ONE OF OUR GRANTS. THESE ARE OUTSTANDING THINGS
17 THAT HAVE HAPPENED. AND I ENTHUSIASTICALLY ENDORSE
18 ACTUALLY APPROVAL, BUT I GUESS I ENDORSE GOING BACK
19 TO LOOK AT IT. AND I JUST THINK IT'S AN EXAMPLE OF
20 A DISEASE TEAM ADDRESSING THE PROBLEMS AND OF THE
21 SCIENTIFIC ADVISORY GROUP SHOWING WHAT THE PROBLEMS
22 ARE AND THEN BEING SMART ENOUGH TO COME BACK AND
23 SAY, HEY, THERE'S BEEN A LOT OF PROGRESS SINCE IT
24 WAS FIRST PRESENTED TO US. SO I THINK IT'S A
25 WIN-WIN ON BOTH SIDES. SO I MOVE THIS.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: FURTHER COMMENT BY
2 MEMBERS OF THE BOARD?

3 DR. STEWARD: I GUESS I HAVE TO SAY I'M
4 JUST A LITTLE BIT CONCERNED ABOUT THE PROCESS THAT
5 WE'RE CONSIDERING HERE. AND I'M NOT ARGUING AGAINST
6 SENDING THIS ONE BACK FOR RECONSIDERATION, BUT JUST
7 TO POINT OUT EVERY GRANT, IF GIVEN THE OPPORTUNITY
8 TO RESPOND TO THE CRITIQUES, COULD BE MADE BETTER
9 BETWEEN THE TIME OF THE REVIEW AND THE TIME THAT WE
10 SEE IT. AND I'M JUST A LITTLE BIT CONCERNED THAT IN
11 THIS CASE IN PARTICULAR WE HAVEN'T NECESSARILY MADE
12 IT CLEAR TO THE OTHER APPLICANTS THAT, IN FACT, THAT
13 WOULD BE A POSSIBILITY. I'M NOT REALLY QUITE SURE
14 THAT ALL OF THE APPLICANTS CLEARLY UNDERSTOOD THAT
15 THEY COULD COME BACK TO US TO ADDRESS THE
16 CRITICISMS.

17 SO I JUST RAISE THAT AS A CONCERN. I'M
18 NOT SURE HOW I'M GOING TO VOTE ON IT.

19 DR. TROUNSON: IF I CAN ADD SOMETHING TO
20 THIS, OS, IS THAT IN THIS PARTICULAR CASE, I THINK
21 THERE WAS AN ISSUE OF TIMING. AND THE GRANTS REVIEW
22 REALLY WANTED TO SEE THE INFORMATION THAT WAS BEING
23 PRESENTED TO FDA AND WANTED TO SEE THE WHOLE
24 APPROACH TO THE PHASE II STUDIES. AND THEY WERE
25 SORT OF TRAPPED IN A SPACE THAT THE APPLICANTS

BARRISTERS' REPORTING SERVICE

1 COULDN'T PROVIDE IT TO US WITH THAT REVIEW.

2 NOW, IT WAS VERY CLEAR THAT THAT
3 INFORMATION, THE PRINCIPAL PART OF THE INFORMATION,
4 WAS THEN AVAILABLE. AND THEY MADE IT VERY CLEAR IN
5 THEIR RESPONSE THAT YOU HAVE WITH THE BOARD, BUT
6 ALSO THEY MET WITH US, WITH ELLEN FEIGAL AND MYSELF,
7 AND WE WENT THROUGH WHAT INFORMATION THAT THEY HAD.
8 AND IT'S VERY SUBSTANTIAL WITH RESPECT TO THE ISSUES
9 THAT WERE CONCERNED.

10 SO I BELIEVE, MAYBE EVEN MORE THAN THE
11 LAST ONE, THERE'S MORE INFORMATION HERE TO CONSIDER,
12 AND JUST THAT IT'S GOT A LOW MARK THAT I THINK IT
13 NEEDS TO GO BACK AND TO MAKE SURE THE GRANTS WORKING
14 GROUP REPRESENTATIVES FEEL THAT THAT ACTUALLY DOES
15 ADDRESS BECAUSE IT WAS LOWLY MARKED BECAUSE OF THAT.
16 AND I THINK THE BOARD COULD FEEL REALLY COMFORTABLE
17 IF IT COMES BACK WITH A STRONG REVIEW THAT, YES, IT
18 NOW IS IN THE FUNDABLE RANGE. I THINK IT'S JUST
19 MUCH MORE COMFORTABLE FOR YOU IF THAT HAPPENS.

20 NOW, I DON'T THINK THE OTHERS, AND TAKE
21 OUT THE ONES THAT I CAN'T COMMENT ON, THE OTHERS
22 DIDN'T REALLY MEET THAT LEVEL, AND THEY HAD THE
23 OPPORTUNITY. WE RECEIVED THOSE JUST RECENTLY WITHIN
24 THE LAST WEEK, AND WE WENT THROUGH THEM AND WE
25 ANALYZED THEM. AND FROM OUR POINT OF VIEW, THEY

BARRISTERS' REPORTING SERVICE

1 DIDN'T REALLY MEET. THOSE TWO PROJECTS WERE REALLY
2 THE ONES THAT WE'RE TALKING ABOUT REALLY MET THAT
3 STANDARD; WHEREAS, THE OTHERS WE DIDN'T REALLY FEEL
4 THAT THE NEW INFORMATION MET THAT DEGREE -- WAS
5 WORTHY FOR US TO SUGGEST TO YOU THAT YOU MIGHT WANT
6 TO SORT OF RELOOK AT THAT THROUGH THIS GRANTS
7 WORKING GROUP.

8 CHAIRMAN THOMAS: DR. STEWARD. MR.
9 SERRANO-SEWELL.

10 MR. SERRANO-SEWELL: THANK YOU, CHAIRMAN.
11 SO I'M GOING TO SUPPORT THE MOTION, AND I THINK IT'S
12 THE RIGHT THING TO DO BECAUSE ANY TIME, ANY INSTANCE
13 IN WHICH YOU HAVE MORE INFORMATION, YOU CAN ALWAYS
14 MAKE A BETTER DECISION. AND TO THE EXTENT THAT
15 WE'RE THROUGH OUR ACTIONS TODAY ESTABLISHING A
16 PROCESS, WHICH IS WHAT WE ARE -- TO OS' POINT, WHAT
17 WE ARE DOING. LET'S BE CLEAR ABOUT THAT. I'M OKAY
18 WITH AS WELL.

19 WHERE I DO RESERVE FOR MY OWN OPINION, AND
20 I KNOW EACH ONE OF US DO AS WELL, THE QUESTION OF
21 WHETHER THERE IS SUFFICIENT ADDITIONAL INFORMATION,
22 WHATEVER ADJECTIVES YOU WANT TO USE, TO WARRANT
23 EITHER, A, FUNDING TODAY OR FOLLOWING THIS PROCESS
24 OF SENDING IT BACK TO A GROUP OF FOLKS TO LOOK AT
25 AND FOR US TO TAKE ACTION IN 41 DAYS. THESE ARE

BARRISTERS' REPORTING SERVICE

1 WELL WRITTEN, EXTRAORDINARY PETITIONS. EACH ONE OF
2 THEM MAKE A GOOD ARGUMENT, AND IN MY MIND IT
3 WOULDN'T BE HARD TO IDENTIFY NEW INFORMATION.
4 ANYBODY COULD DO A PRESS RELEASE AND ADDRESS
5 WHATEVER THE MAIN CRITIQUE FROM THE GRANTS WORKING
6 GROUP FOR AN INDIVIDUAL PROPOSAL.

7 SO I DO WANT TO MAKE THAT COMMENT. AND SO
8 ALSO MY QUESTION TO ALAN IS IT'S NOT TOTALLY GERMANE
9 TO THIS, SO MAYBE WE COULD DISCUSS AFTERWARDS,
10 CHAIRMAN, AND THAT IS TO THOSE PROPOSALS THAT YOU
11 HAVE IDENTIFIED THAT YOU HAD A CONFLICT, AND YOU'VE
12 STATED THAT FOR THE OTHER ONES THAT YOU DIDN'T HAVE
13 A CONFLICT, YOU DIDN'T THINK ANYTHING WAS
14 SUFFICIENT. DID YOU HAVE ELLEN TAKE A LOOK AT AND
15 GO THROUGH THE SAME ANALYSIS?

16 DR. FEIGAL: YEAH. I WANT TO SAY ALAN,
17 FOR CONFLICT OF INTEREST REASONS, IT'S NOT JUST
18 TODAY, IT'S BEEN GOING ON FOR YEARS IN TERMS OF
19 HAVING TO RECUSE HIMSELF FROM CERTAIN REVIEWS OR
20 ASSESSMENTS TO MAINTAIN THE INTEGRITY OF THE
21 PROCESS. SO I'VE BEEN LEADING WITH THE SCIENTIFIC
22 OFFICERS AND GOING THROUGH THE ISSUES IN-DEPTH.

23 SO I WOULD SAY ACTUALLY EVEN ON THE
24 COMMENTS THAT ARE GOING FORWARD TODAY, ALAN AND I
25 HAVE TALKED EXTENSIVELY ABOUT THAT. AND ACTUALLY IT

BARRISTERS' REPORTING SERVICE

1 CONCURS WITH THE PREVIOUS CONVERSATIONS I'VE HAD
2 WITH SCIENTIFIC OFFICERS. SO I CAN ASSURE YOU THAT
3 WE HAVE ROBUST DISCUSSION OF ALL OF THESE PROPOSALS,
4 INCLUDING THOSE IN WHICH THERE WEREN'T EVEN
5 EXTRAORDINARY PETITIONS.

6 MR. SERRANO-SEWELL: THE ONES THAT ALAN
7 HAD A CONFLICT ON?

8 DR. FEIGAL: I LOOKED AT THEM. I'VE
9 LOOKED AT ALL OF THEM. AND TO CLARIFY, I DON'T HAVE
10 A CONFLICT WITH ANY OF THEM.

11 MS. LANSING: I SO RESPECT WHAT OS IS
12 SAYING. I DO THINK WE HAVE A PROCESS. I GUESS
13 WHERE I COME OUT IS IN THIS FIELD, NOT THIS
14 PARTICULAR PROPOSAL, IN THE FIELD OF STEM CELL, IN
15 THE FIELD OF SCIENCE IN GENERAL, IT MOVES SO FAST,
16 AND WE HAVE A FIDUCIARY RESPONSIBILITY TO DO THE
17 BEST SCIENCE. AND IF SOMETHING HAS CHANGED QUICKLY
18 AND WE DON'T HAVE THE ABILITY TO BE NIMBLE, THEN I
19 WOULD FEEL VERY BAD. I DO THINK THERE HAS TO BE A
20 PROCESS SO EVERYBODY HAS AN EQUAL OPPORTUNITY. THAT
21 I TOTALLY AGREE WITH. BUT WHAT I LIKE ABOUT THESE
22 EXTRAORDINARY PETITIONS IS THIS CHANGED. YOU DIDN'T
23 HAVE THIS INFORMATION. SO NO ONE IS SAYING YOUR
24 DECISION AT THE TIME WASN'T RIGHT. BUT IF IN A
25 MATTER OF WEEKS OR MONTHS SOMETHING CHANGES SO FAST,

BARRISTERS' REPORTING SERVICE

1 I WANT US, REPRESENTING THE CITIZENS, TO BE ABLE TO
2 DO THE BEST SCIENCE AND BE MINDFUL IF SOMETHING
3 CHANGED QUICKLY. THAT'S WHY WE HAVE EXTRAORDINARY
4 PETITIONS.

5 MR. TORRES: I THINK WE ARE ALL AWARE THAT
6 THIS IS AN ALTERNATIVE TO GAIN MORE INFORMATION AND
7 MORE INPUT BEFORE WE MAKE A FINAL DECISION ON THESE
8 TWO PROPOSALS THAT ARE BEFORE US. THAT DOESN'T
9 PRECLUDE THIS BOARD, AS WE HAVE DONE IN THE PAST
10 AFTER LISTENING TO AN EXTRAORDINARY PETITION AND
11 PUBLIC COMMENT, TO VOTE TODAY TO VOTE IT OUT.
12 THAT'S ALWAYS AVAILABLE TO YOU. AS A MATTER OF
13 FACT, YOU MAY DECIDE, AFTER YOU HEAR THE PUBLIC
14 COMMENTS TODAY ON THIS MOTION, THAT YOU WOULD
15 PROVIDE A SUBSTITUTE MOTION TO FUND TODAY. AND THAT
16 IS OUR OPTION AS A BOARD BASED UPON WHAT YOU HEAR.

17 I JUST FEEL THAT THIS PROCESS, WHICH WAS,
18 IN FACT, INTACT UNTIL IT LAPSED, PROVIDED US AN
19 OPPORTUNITY TO HAVE ANOTHER REVIEW WHEN WE'VE BEEN
20 GIVEN NEW INFORMATION THAT OUR SCIENTIFIC STAFF,
21 WHICH WE HAVE TO RELY UPON AS WELL, FEELS NEEDS TO
22 BE REREVIEWED AND THEN COME BACK TO US WITH A
23 RECOMMENDATION. ON THE OTHER HAND, YOU MAY DECIDE,
24 AFTER HEARING THE PUBLIC COMMENT, THAT THE FUTURE
25 EXTRAORDINARY PETITIONS THAT COME BECOME YOU, YOU

BARRISTERS' REPORTING SERVICE

1 MAY WANT TO REFER TO THIS PROCESS AS WELL. THAT'S
2 ALSO AN OPTION THAT THIS BOARD HAS.

3 CHAIRMAN THOMAS: ADDITIONAL COMMENTS BY
4 MEMBERS OF THE BOARD?

5 DR. STEWARD: JUST ONE. I GUESS I HAVE TO
6 SAY THAT OBVIOUSLY SCIENCE MOVES ON, ALL SCIENCE
7 MOVES ON, IN THE PERIOD OF TIME BETWEEN THE TIME
8 IT'S INITIALLY REVIEWED BY THE GRANTS WORKING GROUP
9 AND THE TIME THAT WE SEE IT. THERE'S ALWAYS GOING
10 TO BE NEW INFORMATION, AND IT'S GOING TO BE
11 SCIENTIFIC INFORMATION. AND WE ALL HAVE ADMITTED
12 THAT, IN FACT, IT IS NOT OUR POSITION TO REREVIEW
13 SCIENTIFIC INFORMATION.

14 SO JUST TO SAY I THINK THAT THIS PROCESS
15 IS GOING TO LEAD TO MORE -- COULD POTENTIALLY LEAD
16 TO MANY MORE REFERRALS TO THIS. JUST SAY THAT.

17 CHAIRMAN THOMAS: ANY COMMENT BY BOARD
18 MEMBERS ON THE PHONE? COMMENTS FROM MEMBERS OF THE
19 PUBLIC? AGAIN, PLEASE KEEP YOUR COMMENTS TO THREE
20 MINUTES. PLEASE STATE YOUR NAME AT THE OUTSET.

21 DR. LITVAK: THANK YOU SO MUCH. GREAT TO
22 BE BACK. I'M HERE. THE GRANT HAS BEEN SUBMITTED BY
23 CAPRICOR, WHICH IS A LOS ANGELES STEM CELL COMPANY.
24 AND SINCE APRIL I'VE BEEN THE EXECUTIVE CHAIRMAN.
25 I'D LIKE TO GO ON THE RECORD, FIRST OF ALL, TO

BARRISTERS' REPORTING SERVICE

1 COMPLIMENT THE GRANT REVIEW PROCESS AT ITS ORIGINAL
2 LEVEL. I THOUGHT IT WAS VERY THOUGHTFUL. I WASN'T
3 INVOLVED IN THE WRITING OF THE ORIGINAL GRANT. THE
4 REVIEW WAS THOUGHTFUL. IT WAS DONE WITH INTEGRITY,
5 AND THE POINTS THAT WERE RAISED WERE EXCELLENT, AND
6 WE TOOK THEM TO HEART.

7 THE REASON WE'RE HERE FOR AN EXTRAORDINARY
8 PETITION IS THAT EXTRAORDINARY EVENTS HAVE OCCURRED
9 SINCE THE TIME OF THE SUBMISSION. BY WAY OF
10 BACKGROUND, WE ARE TREATING PATIENTS WHO HAVE HAD
11 HEART ATTACKS. PATIENTS WHO HAVE HEART ATTACKS, 1.6
12 MILLION PER YEAR IN THE UNITED STATES. A SUBSET OF
13 THOSE HAVE LARGE HEART ATTACKS AND GO ON TO GET
14 HEART FAILURE. OUR INTERVENTION, OUR DRUG, IS
15 DESIGNED TO PREVENT THE PROGRESSION OF HEART ATTACKS
16 AFTER LARGE HEART ATTACKS.

17 THIS IS UBIQUITOUS DISEASE. EVERYBODY IN
18 THIS ROOM IS EITHER GOING TO HAVE HEART DISEASE OR
19 IS GOING TO BE RELATED TO SOMEONE WHO HAS HEART
20 DISEASE. SO THIS IS A VERY, VERY IMPORTANT PROJECT.
21 ONCE YOU GET CONGESTIVE HEART FAILURE, THERE IS NO
22 TREATMENT OTHER THAN HEART TRANSPLANTATION. I JUST
23 WANTED TO PUT THAT IN CONTEXT.

24 OUR DRUG, CAP 102 IS AN ALLOGENEIC
25 CARDIAC-DERIVED STEM CELL. THE AUTOLOGOUS VERSION

BARRISTERS' REPORTING SERVICE

1 OF THAT PRODUCT WAS VERY SUCCESSFUL IN A HUMAN
2 RANDOMIZED PHASE I TRIAL AND REDUCED HEART ATTACK
3 SIZE BY 50 PERCENT, REDUCED SCAR BY 50 PERCENT, AND
4 WAS PUBLISHED AFTER THE SUBMISSION OF THIS GRANT IN
5 THE PRESTIGIOUS PEER REVIEW JOURNAL *THE LANCET*.

6 BASED ON THE RESULTS OF THAT TRIAL AND AN
7 EXTRAORDINARY AMOUNT OF PRECLINICAL WORK THAT THE
8 COMPANY DID, THE FDA APPROVED IN JUNE A PHASE
9 I/PHASE II CLINICAL TRIAL WHICH IS READY TO GET
10 GOING AND, IN FACT, IS GOING TO START ENROLLING
11 PATIENTS LATE IN AUGUST HOPEFULLY.

12 THE PRODUCT, THE CAP 102, WAS VALIDATED BY
13 A DISEASE TEAM I GRANT THAT WAS GIVEN TO DR. MARBAN
14 AT CEDARS-SINAI. THIS IS THE FIRST PROGRESSION OF A
15 CIRM-SUPPORTED PROGRAM INTO PHASE II. AND I THINK
16 YOU SHOULD BE VERY PROUD OF THAT. WHAT WE'RE ASKING
17 FOR HERE IS FUNDING FOR THE PHASE II PORTION OF THIS
18 INTEGRATED PHASE I/PHASE II GRANT. THE PHASE I
19 GRANT IS BEING FUNDED BY THE NIH. IT'S ALREADY
20 APPROVED. THE COMPANY WILL RECEIVE ALMOST \$3
21 MILLION TO SUPPORT WHAT I CALL THE LEADING OR PHASE
22 I OF 14 PATIENTS. NOT ONLY WILL THAT MONEY SUPPORT
23 THE PHASE I, BUT IT ALSO IS ENOUGH TO SET UP THE
24 ENTIRE TRIAL INFRASTRUCTURE, THE DATASETS, THE
25 COMPUTER PROGRAMS THAT ARE REQUIRED TO COLLECT DATA,

BARRISTERS' REPORTING SERVICE

1 THE CASE REPORT FORMS, ETC., ETC. SO YOUR MONEY
2 WOULD BE HIGHLY LEVERAGED BY THE NIH MONEY.

3 AND I WOULD JUST REVIEW THAT THIS GRANT
4 HAS NOW BEEN APPROVED UNCONDITIONALLY, THIS PHASE
5 I/PHASE II, BY THE FDA AND BY THE CLINICAL TRIALS
6 COMMITTEE OF THE NIH AND HAS RECEIVED FUNDING FROM
7 THE NIH. SO THERE IS A LOT OF EXTERNAL VALIDATION
8 TO THIS PROGRAM.

9 MR. HARRISON: DR. LITVAK, THAT'S THREE
10 MINUTES, SO IF YOU COULD TRY TO WRAP UP YOUR
11 COMMENTS.

12 DR. LITVAK: I WAS JUST GOING TO SAY THAT
13 BECAUSE OF ALL THIS, I THINK THE TRIAL IS READY TO
14 BE FUNDED NOW. IF WE INITIATE THIS TRIAL AND DON'T
15 RECEIVE CIRM FUNDING, IT WILL STOP AFTER THE PHASE I
16 PORTION, AND THAT WILL DELAY THE AVAILABILITY OF
17 POTENTIALLY IMPORTANT TREATMENTS TO THE PATIENT. SO
18 THANK YOU FOR YOUR ATTENTION AND WE APPRECIATE THE
19 TIME.

20 CHAIRMAN THOMAS: THANK YOU, DR. LITVAK.

21 DR. MARBAN: GOOD MORNING. I'M HERE AS
22 THE PRINCIPAL INVESTIGATOR OF THE DISEASE TEAM I
23 GRANT THAT LED TO THE VALIDATION OF THE THERAPEUTIC
24 CANDIDATE THAT'S PROPOSED TO BE USED IN THIS
25 COMMERCIALY SPONSORED DT II APPLICATION BY

BARRISTERS' REPORTING SERVICE

1 CAPRICOR.

2 THIS IS A TRANSFORMATIVE OPPORTUNITY FOR
3 CIRM BECAUSE IT'S ACTUALLY GOING FROM THEORY TO
4 PRACTICE, AND ACTUALLY HAS THE POTENTIAL TO TAKE
5 SOMETHING INTO ADVANCED CLINICAL TESTING AS A
6 VALIDATION OF THE WHOLE NOTION OF PROPOSITION 71.
7 SO I WOULD URGE THE ICOC TO RECOGNIZE THIS FOR THE
8 AMAZING OPPORTUNITY THAT IT IS AND TO ALLOW US THE
9 OPPORTUNITY TO REALLY DO SOMETHING THAT HAS HOME RUN
10 POTENTIAL. IT'S HERE AND NOW, AND THERE'S NO
11 QUESTION WHATSOEVER THAT IT COULD CHANGE THE FACE OF
12 STEM CELL THERAPY WORLDWIDE, NOT JUST IN CALIFORNIA,
13 TO THE BENEFIT OF MANY MILLIONS OF AMERICANS THAT
14 SUFFER FROM ADVANCED HEART DISEASE. THANK YOU.

15 CHAIRMAN THOMAS: NEXT SPEAKER, PLEASE.

16 MS. MARBAN: GOOD MORNING. I'M LINDA
17 MARBAN AND I'M THE CEO OF CAPRICOR. I WOULD JUST
18 LIKE TO SAY I'M SPEAKING TO YOU TODAY FROM THE
19 DESERT, WHICH IS THE VALLEY OF DEATH THAT MANY SMALL
20 BIOTECHNOLOGY COMPANIES INHABIT, AND IS THE
21 FOUNDATION OF CIRM TO HELP COMPANIES THROUGH THAT
22 VALLEY OF DEATH. YOU ARE THE OASIS. YOU HAVE THE
23 ABILITY TO FUND OUR TRIAL AND TAKE OUR THERAPY TO
24 PATIENTS.

25 TWO HUNDRED FIFTY PATIENTS POTENTIALLY

BARRISTERS' REPORTING SERVICE

1 COULD BENEFIT FROM OUR THERAPY, WHICH WE WILL FIRST
2 DEMONSTRATE TO BE SAFE. WE'VE BEEN VETTED BY THE
3 NATIONAL INSTITUTES OF HEALTH. OUR PROTOCOL HAS
4 BEEN APPROVED BY THEM. AND THE FOOD AND DRUG
5 ADMINISTRATION HAS GIVEN US A GREEN LIGHT TO MOVE
6 FORWARD.

7 THE ONLY THING STANDING IN OUR WAY IS THE
8 FUNDING OF THIS GROUNDBREAKING CLINICAL TRIAL BASED
9 ON RESULTS FROM CEDARS-SINAI HEART INSTITUTE AND
10 DISEASE TEAM WORK THAT YOU'VE ALREADY FUNDED.

11 I'M PROUD TO REPRESENT A CALIFORNIA
12 COMPANY. MY COMPANY HAS GROWN FROM WHEN WE CAME
13 HERE ONE EMPLOYEE, AND YOU'RE LOOKING AT HER, TO NOW
14 14 EMPLOYED CALIFORNIA CITIZENS WITH THE IDEA OF
15 GROWING EVEN FURTHER. AND THE CROWN JEWEL IN MY
16 RECRUITING CROWN HAS BEEN FRANK LITVAK WHO IS
17 WELL-KNOWN TO HAVE THE ABILITY TO TAKE COMPANIES
18 FROM BABY ITERATIONS ALL THE WAY THROUGH A GIANT
19 UPSIDE AND ULTIMATELY TO THE CLINIC AND BEYOND.

20 SO THANK YOU VERY MUCH, AND THANK YOU FOR
21 THE OPPORTUNITY JUST TO BE HERE TODAY. I'M PROUD TO
22 BE A CALIFORNIA CITIZEN.

23 CHAIRMAN THOMAS: THANK YOU. NEXT
24 SPEAKER.

25 DR. QUINT: YES. DR. ROBERT QUINT. I'M A

BARRISTERS' REPORTING SERVICE

1 MEMBER OF THE ICOC, AND IT'S MY PLEASURE TO GIVE MY
2 WHOLEHEARTED SUPPORT TO THIS PROJECT. I'VE BEEN IN
3 PRACTICE AS A CARDIOLOGIST FOR THE PAST 44 YEARS AND
4 PRACTICING INTERVENTIONAL CARDIOLOGY FOR THE PAST 33
5 YEARS.

6 I REMEMBER THE VERY FIRST ANGIOPLASTY THAT
7 I WAS INVOLVED WITH IN 1979. IT WAS AN EXHILARATING
8 FEELING THAT I'VE NEVER HAD BEFORE. AND I WOULD
9 HOPEFULLY BE AROUND LONG ENOUGH TO BE ABLE TO
10 PERFORM THE FIRST INTERCARDIAC ADMINISTRATION OF
11 CARDIOMYOCYTES WHEN IT BECOMES AVAILABLE AND TO
12 EXPERIENCE THAT EXHILARATION ONCE AGAIN.

13 I CERTAINLY SHARE WITH SHERRY LANSING AND
14 HER FEELING AFTER HEARING DR. MARBAN'S PRESENTATION
15 IN DECEMBER OF LAST YEAR REGARDING THE CADUCEUS
16 STUDY. THANK YOU VERY MUCH.

17 DR. PIZZO: COULD YOU JUST EXPLAIN WHY
18 YOU'RE STANDING UP MAKING PUBLIC TESTIMONY WHEN
19 YOU'RE SITTING ON THE ICOC? I AM ABSOLUTELY
20 CONFUSED BY THAT.

21 DR. QUINT: I'M NOT A VERY FORMAL GUY, I
22 GUESS.

23 DR. PIZZO: I JUST WONDER ABOUT THAT. I
24 THINK WE HAVE A PROCESS, AND YOU SHOULD FOLLOW THE
25 PROCESS.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: WE WILL STIPULATE THAT
2 THOSE LAST REMARKS WERE COMMENTS BY A BOARD MEMBER
3 AS PART OF BOARD MEMBER DISCUSSION.

4 ANY FURTHER COMMENTS BY MEMBERS OF THE
5 BOARD OR THE PUBLIC? HEARING NONE, QUESTION IS
6 CALLED. MARIA, PLEASE CALL THE ROLL. MR. HARRISON,
7 PLEASE RESTATE.

8 MR. HARRISON: THE MOTION IS TO REFER
9 APPLICATION 5735 FOR ADDITIONAL REVIEW OF NEW
10 INFORMATION BY THE PEER REVIEW GROUP WITH DIRECTION
11 TO THE PRESIDENT AND CO-VICE CHAIR SHEEHY TO
12 DETERMINE THE MAKEUP OF THE PEER REVIEW PANEL OR
13 SUBSET OF THE PANEL AND REQUEST THAT THE PEER REVIEW
14 RECOMMENDATION BE PRESENTED TO THE BOARD AT ITS NEXT
15 MEETING.

16 MS. LANSING: SEPTEMBER 6TH ALONG WITH THE
17 OTHER ONE.

18 MR. TORRES: SO THERE IS NO MEETING ON THE
19 5TH.

20 MS. BONNEVILLE: IT'S SCHEDULED FOR TWO
21 DAYS, BUT IT WILL LIKELY BE A ONE-DAY MEETING.

22 MS. LANSING: SO ON SEPTEMBER 6TH.

23 CHAIRMAN THOMAS: AT OUR NEXT REGULARLY
24 SCHEDULED BOARD MEETING, WHICH IS SCHEDULED FOR
25 SEPTEMBER 6TH. MARIA, PLEASE CALL THE ROLL.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: BOB PRICE.
2 DR. PRICE: YES.
3 MS. BONNEVILLE: DAVID BRENNER.
4 DR. BRENNER: YES.
5 MS. BONNEVILLE: JACOB LEVIN.
6 DR. LEVIN: YES.
7 MS. BONNEVILLE: CLAIRE POMEROY.
8 DR. POMEROY: YES.
9 MS. BONNEVILLE: MARCY FEIT.
10 MS. FEIT: YES.
11 MS. BONNEVILLE: TED Krontiris.
12 DR. Krontiris: YES.
13 MS. BONNEVILLE: LEEZA GIBBONS.
14 MS. GIBBONS: YES.
15 MS. BONNEVILLE: MICHAEL GOLDBERG.
16 MR. GOLDBERG: YES.
17 MS. BONNEVILLE: SAM HAWGOOD.
18 DR. HAWGOOD: YES.
19 MS. BONNEVILLE: STEVE JUELSGAARD.
20 MR. JUELSGAARD: YES.
21 MS. BONNEVILLE: SHERRY LANSING.
22 MS. LANSING: YES.
23 MS. BONNEVILLE: BERT LUBIN.
24 DR. LUBIN: YES.
25 MS. BONNEVILLE: MICHAEL MARLETTA. PHIL

BARRISTERS' REPORTING SERVICE

1 PIZZO.
2 DR. PIZZO: YES.
3 MS. BONNEVILLE: FRANCISCO PRIETO.
4 DR. PRIETO: AYE.
5 MS. BONNEVILLE: CARMEN PULIAFITO. ROBERT
6 QUINT.
7 DR. QUINT: YES.
8 MS. BONNEVILLE: DUANE ROTH.
9 MR. ROTH: YES.
10 MS. BONNEVILLE: JOAN SAMUELSON.
11 MS. SAMUELSON: YES.
12 MS. BONNEVILLE: DAVID SERRANO-SEWELL.
13 MR. SERRANO-SEWELL: YES.
14 MS. BONNEVILLE: JEFF SHEEHY.
15 MR. SHEEHY: YES.
16 MS. BONNEVILLE: JONATHAN SHESTACK.
17 MR. SHESTACK: YES.
18 MS. BONNEVILLE: OS STEWARD.
19 DR. STEWARD: YES.
20 MS. BONNEVILLE: JONATHAN THOMAS.
21 CHAIRMAN THOMAS: YES.
22 MS. BONNEVILLE: ART TORRES.
23 MR. TORRES: AYE.
24 MS. BONNEVILLE: KRISTINA VUORI.
25 DR. VUORI: YES.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: JAMES ECONOMOU.

2 CHAIRMAN THOMAS: HE'S OUT. HE WAS
3 CONFLICTED HERE. I DON'T KNOW IF HE'S CONFLICTED,
4 BUT HE DID WALK OUT THINKING HE WAS CONFLICTED.

5 THE MOTION CARRIES, MR. HARRISON?

6 MR. HARRISON: YES.

7 CHAIRMAN THOMAS: OKAY. SO THAT'S THE
8 DISCUSSION ON THE TWO PROPOSALS IDENTIFIED BY MR.
9 SHEEHY. WOULD NOW LIKE TO ASK MEMBERS OF THE BOARD
10 IF THERE ARE OTHER APPLICATIONS YOU WOULD LIKE TO
11 RAISE FOR DISCUSSION.

12 MS. GIBBONS: THANK YOU. YES. I'D BE
13 INTERESTED IN HEARING FROM THE STAFF PRESENTATION,
14 PLEASE, ON APPLICATION NO. 05416.

15 MR. TORRES: SECOND.

16 CHAIRMAN THOMAS: IT'S BEEN MOVED AND
17 SECONDED THAT WE HEAR STAFF PRESENTATION AND
18 INITIATE DISCUSSION ON ITEM 5416. COULD WE PLEASE,
19 DR. TROUNSON, HAVE PRESENTATION?

20 DR. FEIGAL: DR. KAREN BERRY, THE SCIENCE
21 OFFICER, WILL PROVIDE THAT UPDATE.

22 CHAIRMAN THOMAS: ALL CONFLICTED PARTIES
23 PLEASE LEAVE FOR THE PURPOSES OF THIS DISCUSSION.
24 THANK YOU.

25 MS. SAMUELSON: TO MAKE IT A LITTLE

BARRISTERS' REPORTING SERVICE

1 CLEARER TO FOLLOW, COULD WE ADD THE DESCRIPTION OF
2 THE TITLE?

3 CHAIRMAN THOMAS: YES. KAREN, WOULD YOU
4 PLEASE GIVE THE TITLE AND FULL DESCRIPTION AND THEN
5 PROCEED TO A BRIEF REPORT?

6 DR. BERRY: YES, SIR. MR. CHAIRMAN, ARE
7 YOU READY?

8 CHAIRMAN THOMAS: PLEASE PROCEED.

9 DR. BERRY: THE TITLE OF THIS APPLICATION
10 IS "RESTORATION OF MEMORY IN ALZHEIMER'S DISEASE, A
11 NEW PARADIGM USING NEURAL STEM CELL THERAPY." AND
12 THIS IS APPLICATION 5416.

13 THE GOAL OF THIS PROPOSAL IS TO DEVELOP A
14 HUMAN NEURAL STEM CELL AS A POTENTIAL THERAPY FOR
15 ALZHEIMER'S DISEASE. AS WE KNOW, ALZHEIMER'S
16 DISEASE AFFECTS OVER FIVE MILLION INDIVIDUALS IN THE
17 U.S. AND IS THE SIXTH LEADING CAUSE OF DEATH. THE
18 RATIONALE FOR THIS PROPOSED THERAPY IS THAT THE
19 HUMAN NEURAL STEM CELLS WILL BE TRANSPLANTED INTO
20 THE HIPPOCAMPUS AND WILL EXPRESS PROTEINS THAT
21 PROMOTE SURVIVAL OF HOST NEURONS, THEREBY SLOWING OR
22 PREVENTING LOSS OF MEMORY AND COGNITIVE FUNCTIONS.

23 THE APPLICANTS PROPOSE TO CONDUCT A
24 CLINICAL MANUFACTURING OF THE HUMAN NEURAL STEM
25 CELLS, TO COMPLETE THE PRECLINICAL SAFETY AND

BARRISTERS' REPORTING SERVICE

1 EFFICACY STUDIES, AND CULMINATING WITH THE FILING OF
2 AN IND APPLICATION TO THE FDA, AND INITIATE PHASE
3 I -- FOR INITIATION OF PHASE I CLINICAL TRIALS.

4 LET ME POINT OUT THAT REVIEWERS CERTAINLY
5 AGREED THAT THIS IS A SIGNIFICANT AND UNMET MEDICAL
6 NEED AND MORE EFFICACIOUS AND SAFE THERAPIES ARE
7 NEEDED. ONE OF THE MAJOR WEAKNESSES OF THIS
8 PROPOSAL WAS THE LACK OF RATIONALE FOR HOW A
9 LOCALIZED INJECTION OF HUMAN NEURAL STEM CELLS COULD
10 TREAT THIS DIFFUSE NEUROLOGICAL DISEASE. THE
11 PRELIMINARY DATA FROM THE ALZHEIMER'S ANIMAL MODELS
12 THE REVIEWERS DID NOT FEEL WAS COMPELLING. THEY
13 WERE NOT CONVINCED WITH THE LEVEL OF FORMATION OF
14 THE FUNCTIONAL CIRCUITS AND REPAIR AND THAT THAT
15 WOULD BE PREDICTIVE OF A THERAPEUTIC EFFECT IN THE
16 HUMAN POPULATION.

17 ADDITIONALLY, THERE WAS, HOWEVER, ONE
18 EFFICACY EXPERIMENT USING THE HUMAN NEURAL STEM
19 CELLS THAT WERE TRANSPLANTED IN THE PRECLINICAL
20 MODEL THAT DID RESULT IN IMPROVEMENTS IN CONTEXT AND
21 PLACE RECOGNITION AT ONE MONTH OF TREATMENT AFTER
22 TREATMENT.

23 THIS APPLICATION HAS VERY GOOD NONCLINICAL
24 TOXICOLOGY WORK IN RELEVANT ANIMAL MODELS INCLUDING
25 TUMORIGENICITY STUDIES. ADDITIONALLY, THE OPTIMAL

BARRISTERS' REPORTING SERVICE

1 LOCATION FOR THE TRANSPLANT OF THE HUMAN NEURAL STEM
2 CELLS IS NOT NECESSARILY ESTABLISHED WELL, AND
3 EXPERIMENTS WERE DONE IN THE HIPPOCAMPUS, BUT THERE
4 WERE NO ALTERNATIVES TO A DIFFERENT LOCATION.

5 THE PI AND THE TEAM ARE VERY EXPERIENCED
6 IN NEUROLOGICAL DISEASES AND HAVE HAD PREVIOUS
7 SUCCESSFUL IND FILINGS AND CLINICAL TRIAL EXPERIENCE
8 WITH THIS PRODUCT.

9 THE BUDGET PROPOSED FOR THE PRECLINICAL
10 SAFETY WAS CONSIDERED EXCESSIVE, AND THE REVIEWERS
11 SUGGESTED PERHAPS THE TEAM COULD LEVERAGE SOME OF
12 THE PRIOR SAFETY DATA FROM THE PREVIOUS IND STUDIES
13 WITH THIS PROPOSED CELL LINE. THERE WAS AN
14 EXTRAORDINARY PETITION FILED.

15 CHAIRMAN THOMAS: OKAY. JUST AS A MATTER
16 OF COURSE HERE PROCEDURALLY, WITH ANY OF THESE THAT
17 ARE GOING TO BE BROUGHT UP IN THIS MANNER AS OPPOSED
18 TO THE FIRST TWO THAT WERE DISCUSSED, I THINK WE'RE
19 LIKELY TO WANT TO DISCUSS THESE IN CLOSED SESSION.
20 BUT PRECEDING THAT, LIKE TO HAVE COMMENTS FROM
21 MEMBERS OF THE BOARD AND THEN PROCEED TO PUBLIC
22 COMMENT. SO ARE THERE COMMENTS BY MEMBERS OF THE
23 BOARD AT THIS STAGE?

24 MS. SAMUELSON: QUESTION, MR. CHAIRMAN.
25 IF THERE'S AN EXTRAORDINARY PETITION, CAN WE HAVE --

BARRISTERS' REPORTING SERVICE

1 IF THERE'S ANYONE WHO FILED THE EXTRAORDINARY
2 PETITION HERE AND WANTS TO SPEAK, COULD THAT BE DONE
3 FIRST?

4 CHAIRMAN THOMAS: THAT'S WHAT I MEANT BY
5 MEMBERS OF THE PUBLIC. WE COULD DO THAT.

6 MR. SHESTACK: YOU WERE JUST ASSUMING THAT
7 THEY WILL BE DISCUSSED IN CLOSED SESSION BECAUSE
8 THERE MIGHT BE PROPRIETARY INFORMATION, BUT THERE'S
9 NO MANDATE THAT ANYTHING -- IT MIGHT NOT COME UP IN
10 CLOSED SESSION AT ALL; IS THAT CORRECT?

11 CHAIRMAN THOMAS: THAT'S CORRECT.

12 MR. ROTH: A QUESTION FOR THE STAFF, I
13 GUESS, OR JEFF OR WHOMEVER MIGHT BE APPROPRIATE. IS
14 THERE ANY MATERIAL NEW INFORMATION OR ANY MATERIAL
15 ERROR THAT WE'VE IDENTIFIED FROM EITHER THE
16 EXTRAORDINARY PETITION OR THE REVIEW INTERNALLY?

17 DR. BERRY: THERE WAS NO NEW INFORMATION
18 ADDED TO THE EXTRAORDINARY PETITION.

19 MS. GIBBONS: IF I MAY, AND PERHAPS YOU
20 CAN HELP PROVIDE SOME CONTEXT FOR ME ON THIS. IT
21 SEEMS THAT IN THE EXTRAORDINARY PETITION AND IN THE
22 DOCUMENTS THAT ARE A MATTER OF THE PUBLIC RECORD
23 NOW, NOT FOR CLOSED SESSION, I BELIEVE, AND PLEASE
24 TELL ME IF I MISSTEP HERE, BUT IT SEEMS TO ME THAT
25 THEY DID AN EXTRAORDINARY JOB OF ANSWERING ALL OF

BARRISTERS' REPORTING SERVICE

1 THE CHALLENGES AND THE CONCERNS THAT WERE BROUGHT UP
2 BY THE TEAM HERE. ESPECIALLY YOU HAD MENTIONED THE
3 EFFICACY OF THIS APPROACH, AND IT SEEMS THAT WE HAVE
4 SUPPORTED THIS, CIRM HAS SUPPORTED THIS APPROACH
5 TWICE IN THE PAST.

6 SO I FOUND THAT A LITTLE BIT CONFUSING
7 ABOUT THE RATIONALE, THAT CIRM HAD, IN FACT, IN THE
8 PLANNING GROUP SAID THAT THIS WAS, AND I DON'T THINK
9 ANYTHING CHANGED FROM PLANNING GROUP TO THIS, THAT
10 THIS WAS A REASONABLE APPROACH, AND WE'VE EVEN
11 FUNDED THE TRANSLATIONAL GRANT WITH THIS APPROACH
12 BEFORE. SO I FOUND THAT TO BE A LITTLE BIT
13 CONFUSING.

14 JUST IN THE BROADER TEXT, THIS IS OUR SHOT
15 WITH THIS DISEASE. I KNOW WE ALL HAVE AT OUR HEART
16 AND OUR DESIRE IS TO REPRESENT THE PORTFOLIO WELL
17 WITH THE BEST SCIENCE GIVING US THE BEST SHOTS TO
18 MAKE THE MOST CHANGE WITH EVERY DISEASE THAT WE
19 APPROACH. WE'RE ON A TRACK RECORD WITH THIS ONE.
20 WE HAVE THE SUPERSTAR TEAM THAT HAS ALREADY HAD A
21 HIGHLY REVIEWED SUCCESS RATE. CALIFORNIA IS THE
22 EPICENTER OF ALZHEIMER'S DISEASE. IF YOU LOOK
23 AROUND THIS ROOM, IN CALIFORNIA ONE IN SIX OF US
24 WILL HAVE DEMENTIA.

25 WE KNOW THE ECONOMIC DEVASTATION, AND IT

BARRISTERS' REPORTING SERVICE

1 JUST SEEMS THAT WE ARE QUEUED UP WITH THIS
2 PARTICULAR GRANT APPLICATION TO REALLY TAKE
3 ADVANTAGE OF A LOT OF THINGS THAT ARE ALREADY IN
4 PLACE, INCLUDING THIS MODEL CALLS FOR -- IT'S A
5 DISEASE OF AGING. AND THE COLONIES OF MICE HAVE
6 BEEN AGED SO THAT THEY CAN BE USED IN THIS RESEARCH.
7 IF WE DON'T FUND THIS GRANT, THEN IT'S LIKELY THOSE
8 MICE WILL BE DESTROYED, THE PROJECT WILL BE
9 UP-ENDED, AND IT WILL BE TOO EXPENSIVE FOR ANYONE
10 ELSE TO REALLY TAKE THIS ON.

11 SO TO ME IT JUST SEEMS LIKE A HUGE
12 OPPORTUNITY MISSED UNLESS THERE'S SOMETHING THAT I'M
13 NOT SEEING THAT'S A BIGGER CONCERN IN THIS
14 APPLICATION.

15 CHAIRMAN THOMAS: YES, DEAN POMEROY.

16 DR. POMEROY: I AGREE WITH LEEZA THAT THIS
17 DISEASE AFFECTS SO MANY PEOPLE. AND I JUST WANT TO
18 SAY THAT MY FATHER-IN-LAW DIED OVER THIS WEEKEND,
19 AND MY HUSBAND WILL BE LEAVING TOMORROW TO ATTEND
20 THE FUNERAL. MY FATHER-IN-LAW DIED WITH DEMENTIA,
21 SO I UNDERSTAND THE IMPORTANCE OF THIS DISEASE.
22 HOWEVER, I DO THINK THAT WE AS A BOARD HAVE A
23 RESPONSIBILITY TO SPEND OUR MONEY ON THINGS THAT
24 WILL ACTUALLY HAVE A SCIENTIFIC CHANCE OF
25 FEASIBILITY. AND EVERYTHING I'VE READ FROM THIS

BARRISTERS' REPORTING SERVICE

1 REVIEW IS THAT THE RATIONALE FOR HOW THESE
2 INJECTIONS CAN TREAT THIS DIFFUSE NEUROLOGICAL
3 DISEASE WAS NOT ESTABLISHED TO THE SATISFACTION OF
4 EXCELLENT REVIEWERS. AND I HOPE THAT IN THE FUTURE
5 THEY CAN GET TO THAT POINT, AND THAT SOME OF OUR
6 EARLIER GRANTS WILL ALLOW THEM TO GET TO THAT POINT,
7 BUT FROM WHAT I'VE READ, I DON'T SEE IT'S THERE. SO
8 RIGHT NOW I CAN'T SUPPORT MOVING FORWARD WITH THIS
9 ONE.

10 CHAIRMAN THOMAS: MR. JUELSGAARD.

11 DR. JUELSGAARD: I'D LIKE TO ASK A
12 QUESTION ABOUT PROCESS. SO ONE OF THE THINGS, AND
13 THAT IS A LITTLE BIT TO SOMETHING LEEZA SAID, THAT I
14 NOTICED IN THE APPEAL IS A SEEMING, THIS IS AT LEAST
15 WHAT THE APPELLANT SAID, A SEEMING INCONSISTENCY
16 BETWEEN PRIOR GUIDANCE AND CURRENT DECISION. AND
17 IT'S PARTICULARLY TRUE IN COMMENT LITTLE I, PART B.

18 SO COULD YOU SPEAK TO THAT POTENTIAL
19 INCONSISTENCY?

20 DR. BERRY: CAN YOU JUST -- I DON'T HAVE
21 IT RIGHT IN FRONT OF ME AT THE MOMENT. CAN YOU JUST
22 REMIND ME WHAT PART 1 B IS?

23 MR. JUELSGAARD: SURE. THE GRANTS WORKING
24 GROUP OBJECTED TO A CLINICAL APPROACH THAT WAS
25 ALREADY SUPPORTED BY CIRM IN A SUCCESSFUL EARLY

BARRISTERS' REPORTING SERVICE

1 TRANSLATIONAL RESEARCH GRANT AND THE PLANNING GRANT.
2 AND IN PARTICULAR, THE THIRD PARAGRAPH, WHICH I CAN
3 READ, BUT IT'S SOMEWHAT LONG, REALLY KIND OF SPEAKS
4 TO THAT POINT. THE NOTION IS THAT THEY GOT
5 DIFFERENT GUIDANCE EARLIER ON IN THIS PROCESS THAT
6 THEY FOLLOWED. AT LEAST THAT'S WHAT I READ IN HERE.
7 WHETHER THAT'S TRUE OR NOT I DON'T KNOW. AND NOW
8 HAVING FOLLOWED THAT GUIDANCE, IT'S NOT ACCEPTABLE
9 KIND OF WHERE WE STAND TODAY.

10 DR. FEIGAL: LET ME JUST ANSWER A QUESTION
11 GENERICALLY ABOUT ADVICE THAT'S GIVEN IN A PLANNING
12 AWARD VERSUS COMMENTS THAT ARE MADE IN A RESEARCH
13 AWARD. AS WE MENTIONED EARLIER, TWO VERY DIFFERENT
14 KINDS OF CONTENT ARE PROVIDED IN THOSE SEPARATE
15 TYPES OF PROPOSALS. AND ALSO SCIENTIFIC REVIEW, AS
16 WE KNOW, HAS DIFFERENCES OF OPINION.

17 SO I'M JUST SAYING THAT, ONE, THERE'S A
18 VERY DIFFERENT AMOUNT OF INFORMATION THAT'S IN A
19 PLANNING AWARD AND WHAT MIGHT BE ACCEPTABLE TO ALLOW
20 SOMEBODY TO BE ELIGIBLE TO PROVIDE A PROPOSAL FOR A
21 RESEARCH AWARD. THAT'S ONE THING.

22 AND THEN WHEN YOU ACTUALLY GET THE
23 PROPOSAL AND ALL THE DETAILS IN IT, IT'S A DIFFERENT
24 TYPE OF REVIEW.

25 THE OTHER ISSUE IS THAT IN TERMS OF THE

BARRISTERS' REPORTING SERVICE

1 EARLIER STAGE OF PRODUCTS, THERE'S DIFFERENT TYPES
2 OF ACTIVITIES, DIFFERENT PROJECTS THAT ARE ACTUALLY
3 COMPOSED IN THOSE EARLIER STAGE PRODUCTS. AND THOSE
4 MIGHT BE RELEVANT FOR THE STAGE AT WHICH THEY'RE
5 WORKING, BUT THERE IS ADDITIONAL LEVEL OF
6 COMPLEXITY, ADDITIONAL RIGOR THAT'S REQUIRED FOR
7 SOME OF THESE LATER STAGE, MORE COMPLICATED, MORE
8 EXPENSIVE-TYPE PROJECTS.

9 DR. BERRY CAN PERHAPS ADDRESS THE SPECIFIC
10 ISSUES, BUT I JUST WANTED TO GIVE YOU SOME OF THOSE
11 GENERAL DIFFERENCES.

12 DR. BERRY: JUST TO ADD ON TO WHAT DR.
13 FEIGAL SAID, IN THE DISEASE TEAM PLANNING AWARD,
14 WHICH IS ONE OF THE THINGS THAT THEY REFERRED TO,
15 THAT APPLICATION DID NOT HAVE ANY DATA. SO THERE
16 WERE NO FIGURES, NO TABLES, NO THINGS LIKE THIS. SO
17 IT WAS A VERY RELATIVELY SHORT APPLICATION AND NO
18 HARD DATA. SO THERE WAS NO DATA TO LOOK AT.

19 AND IN THE EARLY TRANSLATION, I TOTALLY
20 AGREE WITH DR. FEIGAL IS THAT THAT'S AN EARLIER PART
21 OF THE PROGRAM. AND SO THEY MIGHT NOT AT THAT POINT
22 HAVE DATA THAT WAS RELEVANT TO THIS LATER
23 APPLICATION.

24 MS. GIBBONS: I APPRECIATE ALL THAT, AND
25 I'M TRYING TO UNDERSTAND THE DIFFERENCES BETWEEN

BARRISTERS' REPORTING SERVICE

1 THOSE PROCESSES. THANK YOU SO MUCH FOR THAT. BUT
2 DOES THAT MEAN THAT THE RATIONALE AND THE APPROACH
3 FROM THE EARLIER TRANSLATIONAL GRANT AND FROM THE
4 PLANNING GROUP TO NOW CHANGED? I DO UNDERSTAND THE
5 SCOPE AND THE BUDGETING AND ALL THE OTHER THINGS
6 THAT YOU MENTIONED, BUT THE BIG CRITICISM WAS THE
7 RATIONALE. IT SEEMS THAT THAT STAYED CONSISTENT,
8 DID IT NOT?

9 DR. FEIGAL: LET ME JUST ANSWER. I THINK
10 THAT THERE'S A DIFFERENT LEVEL OF EVIDENCE THAT YOU
11 WANT AT DIFFERENT STAGES OF DEVELOPMENT. I THINK WE
12 ALL KNOW THERE'S ATTRITION BETWEEN WHAT MIGHT LOOK
13 VERY EXCITING AND INTERESTING AT AN EARLY STAGE, AND
14 IT MAY OR MAY NOT PROGRESS TO A LATER STAGE
15 DEPENDING ON EVIDENCE. AND SO, YOU KNOW, PRODUCT
16 DEVELOPMENT, AS WE ALL KNOW, IS VERY COMPLICATED.
17 AND DATA THAT YOU HAVE AT ONE STAGE MAY BE
18 SUFFICIENT FOR WHAT YOU NEED TO DO AT THAT EARLY
19 STAGE, BUT IT MAY NOT HAVE PROGRESSED ENOUGH TO
20 ALLOW ONE TO WANT TO INVEST IN THAT LATER STAGE.

21 CHAIRMAN THOMAS: WERE THERE PROTOCOLS
22 APPROVED IN THE PLANNING GRANT THAT WERE IMPLEMENTED
23 AS THEY MOVED FORWARD HERE THAT NOW ARE BEING
24 QUESTIONED AS NOT THE CORRECT PROTOCOLS?

25 DR. FEIGAL: CAN I JUST ANSWER THE

BARRISTERS' REPORTING SERVICE

1 QUESTION? THE PLANNING AWARDS FOR THIS WHOLE GROUP
2 OF INITIATIVES WERE SIMPLY YOU CAN HAVE MEETINGS,
3 YOU CAN TRY AND GET YOUR TEAM TOGETHER TO HAVE
4 DISCUSSIONS. IT'S A MODESTLY SMALL AWARD, \$100,000.
5 SO THERE'S REALLY NO DOLLARS FOR RESEARCH IN A
6 PLANNING AWARD.

7 DR. BERRY: AND TO ADD TO THAT, IN THE
8 PLANNING AWARD WE DID INSTITUTE, AND MR. SHEEHY IS
9 NOT HERE, BUT WE DID INSTITUTE A CONDITIONS CRITERIA
10 WHERE IF THERE WAS A PIECE OF NECESSARY MISSING
11 DATA, THAT THEY COULD COME BACK WITH A CONDITION AND
12 PUT THAT INTO THEIR FULL APPLICATION. THIS
13 PARTICULAR GRANT APPLICATION DID NOT HAVE A
14 CONDITION.

15 CHAIRMAN THOMAS: DR. PRIETO.

16 DR. PRIETO: I THINK I JUST WANT TO POINT
17 OUT THAT THIS IS A SEPARATE REVIEW FROM THE PLANNING
18 AWARD, AND THAT THIS IS ONE OF THE APPLICATIONS --
19 I'M SPEAKING FOR SOMEONE WHO I THINK WILL PROBABLY
20 SUPPORT MOVING THIS UP, BUT THAT THIS IS AN
21 APPLICATION THAT HAD A FAIRLY SUBSTANTIAL DIFFERENCE
22 OF OPINION. THERE'S A LARGE STANDARD DEVIATION IN
23 THE SCORES. THERE WAS AT LEAST ONE REVIEWER WHO
24 QUESTIONED WHETHER FOR A DIFFUSE DISEASE LIKE
25 ALZHEIMER'S, THE TARGETING OF THE HIPPOCAMPUS.

BARRISTERS' REPORTING SERVICE

1 I HAVE A NUMBER OF CONCERNS. ONE IS ARE
2 WE TREATING INDUSTRY APPLICATIONS APPROPRIATELY? I
3 DON'T THINK WE'RE BEING UNFAIR. I DON'T THINK
4 THERE'S ANYTHING UNFAIR ABOUT OUR PROCESS, BUT THIS
5 IS AN APPLICATION FROM INDUSTRY, AN APPLICATION FROM
6 A COMPANY THAT HAS SOME EXPERIENCE THAT I THINK IS
7 RELEVANT. I THINK THAT'S PERTINENT TO CONSIDER.

8 DR. FEIGAL: I DO WANT TO CLARIFY THE
9 REVIEWERS -- WE DO PROVIDE THE PLANNING AWARD
10 SUMMARY TO THE REVIEWERS OF THE RESEARCH AWARD. SO
11 AT LEAST THEY HAD THAT IN HAND. EVEN THOUGH THE
12 REVIEWERS ARE DIFFERENT, THEY DID HAVE THE
13 INFORMATION FROM THE PLANNING AWARD.

14 MS. GIBBONS: I DO BELIEVE THAT IT IS THE
15 COMPANY THAT HAS THE UNIQUE EXPERIENCE AT USING STEM
16 CELLS WITH BRAIN. SO TO ME THAT'S ANOTHER REASON
17 WHY I THINK THIS IS REALLY OUR TIME TO TAKE
18 ADVANTAGE OF THIS OPPORTUNITY. AS YOU SAY, THERE
19 WAS A STANDARD DEVIATION OF 12, WHICH IS PRETTY
20 HIGH, AND THE SCORES WERE ALL OVER THE PLACE HERE.
21 YOU HAD A HIGH OF 75 AND YOU HAD A LOW OF 30, WHICH
22 KIND OF ALWAYS MAKES ME WANT TO LOOK AT THOSE A
23 LITTLE MORE CLOSELY REGARDLESS JUST TO KIND OF BREAK
24 THAT DOWN AND SEE WHAT IT IS.

25 YOU HAD ALSO MENTIONED, OTHER THAN THE

BARRISTERS' REPORTING SERVICE

1 COMPANY, WHAT ELSE DID YOU JUST SAY?

2 CHAIRMAN THOMAS: TALKING ABOUT ISSUE OF
3 LOCALIZED INJECTION AND THE MIGRATION ISSUE.

4 MS. GIBBONS: CORRECT. AS I UNDERSTAND
5 IT, AND I HOPE THERE'S SOMEONE IN THE ROOM THAT
6 PERHAPS CAN SPEAK TO THIS, BUT THERE IS PRECLINICAL
7 DATA WITH THIS GROUP SHOWING THAT INJECTING INTO THE
8 HIPPOCAMPUS, WHICH IS THE MEMORY CENTER, THAT IT DID
9 SHOW DRAMATIC IMPROVEMENT IN COGNITION. AND SO I
10 THOUGHT THAT WAS VERY COMPELLING. AND WHILE THERE
11 ARE OTHER ASPECTS OF THE DISEASE, WHAT IF WE COULD
12 JUST CURE MEMORY? WHAT IF WE COULD JUST RESTORE
13 MEMORY? WOULDN'T THAT BE AN AMAZING SLAM DUNK?

14 DR. PRIETO: I THINK PART OF THIS SPEAKS
15 TO OUR -- IT IS A LARGE AWARD, BUT TO OUR RISK
16 TOLERANCE. ONE OF THE THINGS THAT COMES UP IN GRANT
17 REVIEWS THAT LEADS TO SOMETIMES THE
18 DISPROPORTIONATELY LOW SCORES IS HAVING A REVIEWER
19 WHO SIMPLY DOESN'T FEEL THAT THERE'S A GOOD
20 LIKELIHOOD OF SUCCESS AND WHETHER WE'RE WILLING TO
21 GAMBLE. I THINK ONE OF THE ISSUES, LEEZA ALLUDED TO
22 THIS RIGHT NOW, THAT WE HAVE A DISEASE WITH A HUGE,
23 TREMENDOUS IMPACT AND A GROWING IMPACT WITH NO
24 TREATMENTS CURRENTLY THAT IN ANY WAY IMPROVE MEMORY.
25 SO IF THERE'S A TREATMENT THAT POTENTIALLY

BARRISTERS' REPORTING SERVICE

1 IMPROVES MEMORY, THE IMPACT OF THAT WOULD BE JUST
2 OVERWHELMING. SO IT IS A HIGH RISK, BUT A HIGH
3 REWARD.

4 CHAIRMAN THOMAS: OTHER COMMENTS BY
5 MEMBERS OF THE BOARD?

6 DR. LUBIN: SO I UNDERSTAND ALL THE
7 DISCUSSIONS WE'RE HAVING HERE, BUT I KNOW THE BOARD,
8 YOUR COMMITTEES HAVE CHOSEN REVIEWERS WHO KNOW THESE
9 FIELDS. DO WE KNOW THESE FIELDS AS WELL? AND CAN
10 WE ANSWER THESE QUESTIONS AS WELL AS THE REVIEWERS?

11 THERE'S ALWAYS A SPECTRUM OF SCORES ON
12 GRANTS. SOMEBODY FEELS THIS IS A CONDITION WHERE
13 THERE'S NO TREATMENT AND WE SHOULD DO SOMETHING.
14 AND SOMEONE ELSE FEELS AND FOR THAT REASON, I'M
15 GOING TO GIVE IT REALLY A GOOD SCORE. AND SOMEONE
16 ELSE SAYS I AGREE WITH YOU. YOU CAN MAKE THAT AS A
17 CASE FOR ALMOST ANY OF THE DISEASES WE SEE HERE, BUT
18 THE DATA THAT YOU PRESENTED ISN'T COMPELLING ENOUGH
19 FOR US TO GIVE IT THAT KIND OF SCORE.

20 SO I JUST GET CONCERNED WHEN WE DECIDE TO
21 OVERRIDE THE EXPERTS THAT WE'VE CHOSEN AND THE
22 REVIEW PROCESS THAT THEY'VE DONE. I'M NOT SAYING
23 THAT SHOULDN'T BE DONE IN CERTAIN CIRCUMSTANCES.
24 BUT WHEN IT COMES DOWN IS THIS DISEASE IMPORTANT?
25 OF COURSE, IT IS. AND DO WE HAVE A THERAPY? NO.

BARRISTERS' REPORTING SERVICE

1 AND SHOULD WE HAVE A THERAPY? YES. BUT DOES THAT
2 MEAN THAT ANYONE THAT PROPOSES A THERAPY, AND IT'S A
3 GOOD PROPOSAL, I'M NOT SAYING IT'S NOT GOOD AND I'M
4 NOT SAYING IT DOESN'T WARRANT FUNDING, BUT I JUST
5 GET CONCERNED THAT I DIDN'T SEE THE WHOLE
6 APPLICATION AND I'M NOT AN EXPERT IN THIS FIELD. SO
7 I'M SAYING THE EXPERT DIDN'T REALLY APPRECIATE ALL
8 THAT WE APPRECIATE.

9 AND I JUST THINK THAT'S MAKES IT REALLY
10 DIFFICULT BECAUSE WE COULD GO THROUGH EVERY
11 APPLICATION AND COME UP WITH SOME ARGUMENTS LIKE
12 THAT.

13 DR. PRIETO: I'LL JUST SPEAK TO THAT AS A
14 MEMBER OF THE WORKING GROUP. THERE ARE CERTAINLY
15 SOME APPLICATIONS FOR WHICH FOLLOWING DISCUSSION
16 THERE IS A HIGH DEGREE OF CONSENSUS AND THE SCORES
17 ARE VERY UNIFORM, AND WE SEE THAT IN THE SMALL
18 STANDARD DEVIATION. IN THIS REVIEW FOR THIS
19 PARTICULAR APPLICATION, EVEN AFTER DISCUSSION, THERE
20 WAS STILL A WIDE RANGE OF OPINIONS AMONG THE EXPERT
21 REVIEWERS.

22 MS. GIBBONS: AND NOT TO BELABOR THIS
23 BECAUSE I DO REALIZE WE COULD GO ON FOREVER WITH
24 THIS. I REALLY APPRECIATE YOUR POINT. I DON'T SEE
25 OUR JOB HERE, AND CERTAINLY SPEAKING FOR MYSELF, MY

BARRISTERS' REPORTING SERVICE

1 ROLE HERE TO CHALLENGE THE SCIENCE TEAM AT ALL EVER.
2 I JUST SEE MY JOB HERE AS A PATIENT ADVOCATE TO PUSH
3 FOR WHAT I SEE TO BE AN UNDERREPRESENTED FIELD FOR
4 WHICH I THINK WE CAN EMERGE IF WE JUST STICK TO OUR
5 GUNS. AND WHAT CIRM HAS DONE IN THE PAST, WE'VE
6 QUEUED THIS UP. WE'RE LIKE ON THIRD BASE. WE CAN
7 BRING IT HOME. AND IF WE DON'T, I THINK, IN MY
8 OPINION, WITH THIS ONE, NOT TO CHALLENGE THE
9 SCIENCE, BUT JUST TO SAY SHAME ON US IF WE DON'T
10 TAKE ADVANTAGE OF THIS UNIQUE OPPORTUNITY WITH THE
11 COMPANY THAT HAS THE TRACK RECORD AND THE EXPERIENCE
12 IN THIS AREA WITH THE TEAM THAT WE HAVE ALREADY
13 FUNDED THAT HAS HAD VERY HIGH MARKS AND EXCEEDED
14 EXPECTATIONS IN THE PAST FOR A DISEASE THAT IS JUST
15 BECOMING MORE AND MORE DEVASTATING EVERY DAY THAT WE
16 FAIL TO GO FURTHER. SO YOU ALL KNOW WHERE I STAND
17 ON IT, AND I SHALL REST.

18 CHAIRMAN THOMAS: WHY DON'T WE PROCEED, IF
19 THERE ARE NO COMMENTS BY BOARD MEMBERS ON THE PHONE,
20 PROCEED TO PUBLIC COMMENT. AND I WOULD BE
21 PARTICULARLY INTERESTED IF THERE ARE ANY COMMENTS
22 DEALING WITH THE ISSUE OF WHAT YOU PERCEIVE AS NEW
23 DATA, NEW INFORMATION, A NEW WAY OF LOOKING AT THE
24 PROPOSAL OR WHATEVER.

25 DR. HUHN: THANK YOU. MY NAME IS DR.

BARRISTERS' REPORTING SERVICE

1 STEPHEN HUHN, AND I'M A BOARD CERTIFIED NEUROSURGEON
2 AND VICE PRESIDENT OF THE CNS PROGRAM AT STEM CELLS,
3 INCORPORATED, WHICH IS THE SPONSOR OF THE DISEASE
4 TEAM APPLICATION WE'RE DISCUSSING TODAY.

5 I COME FROM ACADEMICS AND I'M NOW IN
6 INDUSTRY, AND I'VE STARTED TO UNDERSTAND THE WORLD
7 OF TRANSLATION VERY WELL IN THE LAST FEW YEARS. ON
8 BEHALF OF MY COLLEAGUES AND COLLABORATORS, I WISH TO
9 EXPRESS OUR DEEP APPRECIATION TO CIRM AND ITS
10 GOVERNING BOARD FOR REVIEWING OUR DISEASE TEAM
11 PROPOSAL AND FOR CONSIDERING OUR EXTRAORDINARY
12 PETITION TODAY. I'M GRATEFUL FOR THE CHANCE TO
13 SPEAK, AND I'D LIKE TO ADDRESS SOME OF THE KEY
14 ASPECTS OF THE APPLICATION.

15 GIVEN THE FAILURE OF CONVENTIONAL
16 APPROACHES, THE URGENCY TO EXPLORE NEW AVENUES OF
17 RESEARCH FOR ALZHEIMER'S DISEASE SEEMS CLEAR.
18 NEURAL STEM CELLS REPRESENTS AN INNOVATIVE AND
19 PROMISING STRATEGY, AND WE PROPOSE TO DEVELOP THIS
20 APPROACH AS A POTENTIAL TREATMENT FOR ALZHEIMER'S,
21 IF YOU WILL, ONE OF THE NEW AVENUES.

22 WE'D LIKE TO MAKE CLEAR THAT OUR PROPOSAL
23 IS INTENDED TO RESTORE MEMORY FUNCTION BY
24 TRANSPLANTING NEURAL STEM CELLS INTO THE
25 HIPPOCAMPUS, WHICH IS A MAIN PART OF THE BRAIN

BARRISTERS' REPORTING SERVICE

1 RESPONSIBLE FOR MEMORY, BUT IS ALSO UNIQUELY
2 AFFECTED IN ALZHEIMER'S DISEASE. IF YOU HAD TO PICK
3 ONE TARGET IN THE BRAIN FOR ALZHEIMER'S, THAT'S
4 WHERE YOU WOULD PLACE TO PUT YOURSELVES.

5 THIS STRATEGY HAS THE POTENTIAL TO ADDRESS
6 ONE OF THE MOST CRIPPLING ASPECTS OF THE DISEASE,
7 AND WE ALL RECOGNIZE HOW IMPORTANT MEMORY FUNCTION
8 IS TO OUR EVERYDAY LIFE. THE APPROACH HAS BEEN
9 SHOWN TO BE SUCCESSFUL IN ANIMAL WORK DONE UNDER
10 CIRM FUNDING WITH DR. LAFERLA, AND THE OUTCOME OF
11 HIS RESEARCH HAS POINTED THE WAY TOWARD AN
12 INNOVATIVE HUMAN TRIAL.

13 HIS STUDY DEMONSTRATED THAT
14 TRANSPLANTATION OF HUMAN NEURAL STEM CELLS INTO THE
15 HIPPOCAMPUS ALONE IN TWO DIFFERENT ANIMAL MODELS OF
16 ALZHEIMER'S IMPROVED MEMORY ALONG SEVERAL MEMORY
17 TASKS, INDICATING THAT A SIMILAR APPROACH OF DOSING
18 A SELECT ANATOMIC REGION WITHIN THE BRAIN COULD HAVE
19 THE SAME RESULT.

20 IN ESSENCE, THE ANIMAL EXPERIMENTS HAVE
21 SHOWN THAT IF WE TRANSPLANT SPECIFIC REGIONS OF THE
22 BRAIN SUFFERING FROM A DIFFUSE DISORDER, WE CAN
23 STILL PRODUCE A MEANINGFUL BENEFIT. THE APPROACH
24 TAKES ADVANTAGE OF THE FACT THAT NOT ALL REGIONS OF
25 THE BRAIN IN ALZHEIMER'S ARE AFFECTED EQUALLY, AND

BARRISTERS' REPORTING SERVICE

1 THE HIPPOCAMPUS IS ONE THAT'S PARTICULARLY
2 VULNERABLE TO THE PATHOLOGY.

3 WE CERTAINLY WILL WORK WITH CIRM FURTHER
4 TO ADDRESS THIS ASPECT WITHIN OUR EXPERIMENTAL PLANS
5 AND TRANSLATIONAL STRATEGY.

6 IN ADDITION TO THIS IMPORTANT ANIMAL DATA,
7 WE HUMBLY STRESS THAT THE COMPANY I WORK FOR IS THE
8 ONLY ONE TO HAVE COMPLETED TWO PHASE I TRIALS
9 INVOLVING THE USE OF NEURAL STEM CELLS. BOTH TRIALS
10 HAVE CLEARLY SHOWN THAT IT'S SAFE AND TOLERATED BY
11 PATIENTS WITH NEURODEGENERATIVE DISORDERS THAT ARE
12 SIMILAR IN SEVERITY AND COMPLEXITY TO THAT OF
13 ALZHEIMER'S.

14 IN ADDITION, THE TRIALS HAVE FURTHER
15 DEMONSTRATED EVIDENCE THAT THE CELLS SURVIVE, THAT
16 THEY MIGRATE WITHIN THE BRAIN, AND OUR MOST RECENT
17 STUDY HAS SHOWN SIGNS OF PRELIMINARY EFFICACY, WHICH
18 IS PROOF OF CONCEPT CLINICALLY OF OUR GENERAL
19 APPROACH.

20 THIS UNPARALLELED CLINICAL EXPERIENCE WITH
21 CELL THERAPY IN THE BRAIN, WHICH NOW EXTENDS TO MORE
22 THAN FIVE YEARS IN SOME PATIENTS, PERFECTLY
23 POSITIONS OUR TEAM TO MOVE FORWARD WITH ALL GREATEST
24 SPEED AND EXPERIENCE. GIVEN THE CLINICAL NEED, WE
25 RESPECTFULLY REQUEST THAT THE ICOC CONSIDER FUNDING

BARRISTERS' REPORTING SERVICE

1 OUR PROJECT AND ALLOW US TO CONTINUE OUR RESEARCH
2 WITH OUR CURRENT TRAJECTORY AND COMPLETE THE STEPS
3 NECESSARY TO TRANSLATE THIS APPROACH INTO THE FIRST
4 HUMAN TRIAL WITH NEURAL STEM CELLS FOR ALZHEIMER'S.
5 THANK YOU.

6 DR. LAFERLA: MY NAME IS FRANK LAFERLA.
7 I'M A CHANCELLOR'S PROFESSOR AT UC IRVINE, AND I'M
8 ALSO THE DIRECTOR OF THE ALZHEIMER'S DISEASE
9 RESEARCH CENTER THERE AND CO-PI ON THIS PARTICULAR
10 GRANT. AND I REALLY APPRECIATE A LOT OF THE
11 COMMENTS THAT HAVE BEEN MADE, AND I WOULD LIKE TO
12 ADDRESS SOME OF THEM VERY SPECIFICALLY HERE.

13 THE FIRST IS TO REALLY TALK ABOUT THE
14 NEED. RIGHT NOW THERE'S A NEW CASE OF ALZHEIMER'S
15 DISEASE DEVELOPING EVERY 68 SECONDS IN THE UNITED
16 STATES ALONE. I ALSO HAPPEN TO BE SOMEONE WHO IS
17 IMPACTED BY THIS BECAUSE, IN ADDITION TO MY
18 CREDENTIALS, I ALSO HAPPEN TO BE THE SON OF A PARENT
19 WHO SUFFERED FROM ALZHEIMER'S DISEASE, AND MY MOTHER
20 DIED OF DEMENTIA AT THE AGE OF 60. SO I KNOW
21 FIRSTHAND HOW DEVASTATING THIS DISEASE CAN BE.

22 I WANT TO ADDRESS SOME OF THE KEY POINTS
23 THAT I HOPE WILL IMPACT YOUR DECISION HERE. AND THE
24 FIRST IS THAT, AS STEPHEN POINTED OUT, THE
25 HIPPOCAMPUS IS THE HUB, IT IS THE EPICENTER OF

BARRISTERS' REPORTING SERVICE

1 ALZHEIMER'S DISEASE PATHOLOGY. AND SO FROM A
2 LOGICAL POINT OF VIEW, ANY EXPERIMENTS THAT ONE
3 WOULD DO, YOU WOULD START OFF IN THE HIPPOCAMPUS.

4 IN ADDITION, THE CRITICISM IS WHETHER OR
5 NOT TARGETING THE HIPPOCAMPUS WILL BE SUFFICIENT TO
6 AFFECT AREAS OF THE BRAIN. AND WE HAVE TWO PIECES
7 OF REALLY CRITICAL DATA THAT SHOW THAT THAT IS
8 INDEED THE CASE. THE FIRST AND THE MOST SIGNIFICANT
9 IS THAT THESE CELLS MIGRATE OUT OF THE HIPPOCAMPUS.
10 THE SECOND RELATES TO THE OTHER CONCERN THAT THE
11 REVIEWERS RAISED ABOUT WHETHER OR NOT YOU'RE GOING
12 TO GET FUNCTIONAL INTEGRATION OF THESE CELLS THAT WE
13 TRANSPLANTED. AND THE ANSWER TO THAT QUESTION IS A
14 RESOUNDING YES BECAUSE IF YOU LOOK AT THE BEHAVIORAL
15 DATA, WE ARE ABLE TO TAKE MICE THAT ARE COGNITIVELY
16 IMPAIRED AND NOT ONLY IMPROVE THEIR MEMORY, BUT
17 ACTUALLY HAVE THEIR MEMORY BE RESTORED TO WHAT AGED
18 WILD-TYPE MICE MEMORY IS LIKE. AND THAT TO ME IS A
19 VERY REMARKABLE FINDING THAT SPEAKS TO THE TWO MAIN
20 CRITICISMS THAT WERE ADDRESSED DURING THIS REVIEW.

21 THE OTHER POINT THAT I WANT TO MENTION IS
22 ABOUT THE ANIMAL MODELS. AND THE DATA THAT WE HAVE,
23 THE EFFICACY DATA THAT WE HAVE, HAS BEEN OBTAINED
24 FROM TWO IMPORTANT ANIMAL MODELS THAT WERE DEVELOPED
25 AT UC IRVINE, INCLUDING ONE MODEL THAT IS TO DATE

BARRISTERS' REPORTING SERVICE

1 THE ONLY MODEL THAT RECAPITULATES THE TWO MOST
2 IMPORTANT HALLMARKS OF ALZHEIMER'S DISEASE, WHICH
3 ARE PLAQUES AND TANGLES.

4 AND TO SHOW YOU HOW TRANSFORMATIVE THAT
5 MODEL HAS BEEN, WE HAVE GIVEN OUT THAT MODEL TO OVER
6 A HUNDRED FIFTY INVESTIGATORS IN OVER 20 COUNTRIES.
7 AND DESPITE THE FACT THAT MODEL HAS SUCH SIGNIFICANT
8 ALZHEIMER'S PATHOLOGY, LOADS OF PLAQUES AND TANGLES,
9 WE'RE ABLE TO RESTORE THE MEMORY OF THOSE MICE
10 THROUGH A MECHANISM THAT DOES NOT INVOLVE REDUCING
11 THE PLAQUES OR TANGLES IN THAT MICE. SO IT REALLY
12 DOES SPEAK TO THE FUNCTIONAL INTEGRATION OF THOSE
13 CELLS, WHICH I THINK WAS AT THE HEART OF THE
14 CRITICISM.

15 AND I JUST WOULD LIKE TO POINT OUT AGAIN
16 WHAT LEEZA MENTIONED EARLY ON, WHICH WAS THE FACT
17 THAT WE HAVE PROBABLY THE WIDEST RANGE IN TERMS OF
18 STANDARD DEVIATION, SO I THINK THERE IS SOME
19 CONTROVERSY AS TO WHETHER OR NOT STEM CELLS COULD BE
20 USEFUL FOR TREATING ALZHEIMER'S DISEASE. I HAVE TO
21 TELL YOU AS A LONG-STANDING ALZHEIMER'S RESEARCHER,
22 I ALSO HAVE MY DOUBTS, BUT I LET THE EXPERIMENTS DO
23 THE TALKING FOR ME. THANK YOU.

24 DR. DICK-MUEHLKE: GOOD AFTERNOON. I'M
25 DR. CORDULA DICK-MUEHLKE AND HAVE WORKED IN THE

BARRISTERS' REPORTING SERVICE

1 FIELD OF ALZHEIMER'S DISEASE FOR NEARLY 30 YEARS,
2 PRIMARILY IN THE PROVISION OF CARE AND SUPPORT TO
3 PEOPLE LIVING WITH ALZHEIMER'S AND THEIR FAMILIES.

4 IN JANUARY OF THIS YEAR, I JOINED UC MIND
5 AS ITS DIRECTOR OF EDUCATION, AND DR. LAFERLA
6 INVITED ME TO SERVE AS PATIENT ADVOCATE ON OUR
7 DISEASE TEAM GRANT GIVEN MY EXTENSIVE EXPOSURE TO
8 THE HUMAN COST OF ALZHEIMER'S AND EXPERIENCE IN
9 ADVOCACY TO IMPROVE THE LIVES OF PATIENTS AND THEIR
10 FAMILIES.

11 TODAY OVER 5.4 MILLION AMERICANS ARE
12 LIVING WITH ALZHEIMER'S. AND AS DR. LAFERLA SAID, A
13 NEW CASE EMERGES EVERY 68 SECONDS. IN THE ABSENCE
14 OF EFFECTIVE TREATMENTS AND PREVENTION STRATEGIES,
15 BY 2050 UP TO 16 MILLION AMERICANS WILL BE AFFECTED
16 AND A NEW CASE WILL DEVELOP EVERY 33 SECONDS.
17 CURRENTLY OVER 588,000 CALIFORNIANS ARE LIVING WITH
18 ALZHEIMER'S. BY 2030 THIS FIGURE WILL DOUBLE TO 1.1
19 MILLION.

20 OVER THE PAST 30 YEARS, I HAVE WATCHED
21 THOUSANDS OF INDIVIDUALS WITH ALZHEIMER'S STRUGGLE
22 WITH THE DEMORALIZING AND DEHUMANIZING EXPERIENCE OF
23 LOSING COGNITIVE AND EVERYDAY ABILITIES, SUFFER
24 EMOTIONALLY LEFT ONLY TO EXPRESS THEIR PAIN THROUGH
25 BEHAVIOR SUCH AS AGITATION, AGGRESSION, AND

BARRISTERS' REPORTING SERVICE

1 WANDERING, AND ULTIMATELY SUCCUMB TO COMPLETE
2 DEPENDENCE ON OTHERS. ALONGSIDE EACH ONE OF THESE
3 INDIVIDUALS WERE THE FAMILY MEMBERS AND FRIENDS WHO
4 GAVE THEIR ALL TO ENSURE THE BEST LIFE THEY COULD
5 FOR LOVED ONES.

6 TODAY 15.3 MILLION CAREGIVERS, THAT'S
7 ESSENTIALLY THREE FOR EVERY ONE PERSON WITH
8 ALZHEIMER'S, ARE PROVIDING 17.3 BILLION HOURS OF
9 CARE ANNUALLY VALUED AT OVER \$210 BILLION. THAT'S
10 ON TOP OF THE \$200 BILLION ANNUALLY IN DIRECT CARE
11 COSTS COVERED BY MEDICARE, MEDICAID, AND OTHER
12 SOURCES, INCLUDING THE FAMILIES THEMSELVES.

13 ALZHEIMER'S IS BANKRUPTING FAMILIES
14 EMOTIONALLY, PHYSICALLY, AND FINANCIALLY. RESEARCH
15 HAS CLEARLY SHOWN THAT THE STRAIN OF CAREGIVING
16 INCREASES VULNERABILITY FOR MENTAL AND PHYSICAL
17 HEALTH PROBLEMS AND EVEN PREMATURE DEATH. IN ONE
18 SEMINAL STUDY RESEARCHERS FOUND THAT CAREGIVERS
19 UNDER STRAIN ARE 63 PERCENT GREATER RISK FOR
20 MORTALITY.

21 MEDICAL SCIENCE HAS SUCCESSFULLY ELONGATED
22 LIFE INTO THE PERIOD OF YEARS 65 PLUS WHEN RISK FOR
23 ALZHEIMER'S INCREASES DRAMATICALLY. IN 1940 I WOULD
24 HAVE HOPED TO LIVE TO AN AVERAGE AGE OF 46. TODAY
25 AS A CAUCASIAN WOMAN I CAN EXPECT TO LIVE TO 81. IN

BARRISTERS' REPORTING SERVICE

1 A SENSE WE HAVE CREATED THE SUFFERING OF ALZHEIMER'S
2 BY EXTENDING LIFE. WE NOW HAVE A MORAL AND ETHICAL
3 RESPONSIBILITY TO ENSURE THAT THE ADDITIONAL YEARS
4 ARE NOT FULL OF DECLINE AND DISABILITY.

5 WHEN WE DO NOT TAKE THE OPPORTUNITY TO
6 EXPLORE INNOVATIVE APPROACHES TO TREATMENT WITH
7 PROMISING DATA AS REPRESENTED IN OUR DISEASE TEAM
8 GRANT, WE FAIL PEOPLE WITH ALZHEIMER'S AND WE FAIL
9 FUTURE GENERATIONS. WE DO THIS EVERY DAY, FAIL
10 PEOPLE WITH ALZHEIMER'S IN A MYRIAD OF WAYS; FOR
11 EXAMPLE, WHEN WE PROVIDE INADEQUATE CARE, WHEN OUR
12 FEDERAL GOVERNMENT UNDERSPENDS --

13 MR. HARRISON: EXCUSE ME. I'M SORRY.
14 YOUR THREE MINUTES ARE UP, SO IF YOU COULD TRY TO
15 CONCLUDE.

16 DR. DICK-MUEHLKE: I'M ALMOST DONE. -- ON
17 RESEARCH FOR THIS DISEASE RELATIVE TO OTHERS AND
18 WHEN WE DELAY TESTING DIVERSE AND INNOVATIVE
19 APPROACHES THAT GIVE FAMILIES AND FUTURE GENERATIONS
20 HOPE THAT SOMEDAY WE WILL LIVE IN A WORLD FREE OF
21 ALZHEIMER'S DISEASE. AS A PATIENT ADVOCATE, I URGE
22 CIRM AND ICOC NOT TO FAIL PEOPLE WITH ALZHEIMER'S
23 AND FUTURE GENERATIONS AGAIN, BUT TO SUPPORT AND
24 GUIDE ADVANCEMENT OF PROMISING REGENERATIVE
25 STRATEGIES INTO HUMAN TESTING FOR THE MOST PREVALENT

BARRISTERS' REPORTING SERVICE

1 FORM OF DEMENTIA IN THE MODERN ERA. THANK YOU SO
2 MUCH.

3 CHAIRMAN THOMAS: MR. KLEIN.

4 MR. KLEIN: BOB KLEIN. AS THE BOARD
5 KNOWS, I'VE NEVER ADDRESSED ANY GRANT FROM THE
6 FLOOR. IT IS CRITICAL HERE TO UNDERSTAND THAT WE
7 HAVE HERE STEM CELLS, INC., WHICH IS THE ONLY
8 COMPANY IN NORTH AMERICA AND, FOR THAT MATTER, MAYBE
9 IN THE WORLD, THAT HAS HAD TWO STEM CELL THERAPIES
10 IN THE BRAIN WITH THESE SPECIFIC NEURAL STEM CELLS.
11 THEY HAVE A HUGE BODY OF EXPERIENCE HERE.

12 SECONDLY, ONE OF THE FUNDAMENTAL ISSUES
13 HERE THAT IT WAS DOWNGRADED ON WAS THE ISSUE OF THE
14 FUNDAMENTAL CONCEPT, THE PLATFORM CONCEPT, OF
15 INJECTING TWO FOCAL INJECTIONS IN THE BRAIN, IN THE
16 HIPPOCAMPUS OF THE BRAIN. IT'S IMPORTANT TO NOTE
17 THAT I'VE SAT ON THREE PEER REVIEWS WHERE THE
18 SCIENTISTS REALLY AFFIRMED THIS SPECIFIC APPROACH
19 WITH EXTREMELY HIGH SCORES, THREE DIFFERENT VIEWS.
20 ALL RIGHT.

21 SO IT'S VERY IMPORTANT TO REALIZE WE HAVE
22 A STANDARD DEVIATION HERE OF 12. THESE SCIENTISTS
23 WERE COMPLETELY SPLIT. WITH SOME RECUSALS ON THAT
24 PANEL, IF YOU HAVE 12 OR 13 THAT CAN REALLY VOTE,
25 THREE OR FOUR VERY LOW SCORES CAN BRING IT OUT OF

BARRISTERS' REPORTING SERVICE

1 THE FUNDING CATEGORY ALL THE WAY DOWN. IT IS IN THE
2 REGION WHERE THIS BOARD IS LOOKING WHERE THE OTHER
3 THREE PEER REVIEWS, RIGHT, EARLY TRANSLATION, THE
4 ONE BEFORE THAT WAS THE PLANNING GRANT REVIEW, THAT
5 THE HIPPOCAMPUS WAS A GOOD PLATFORM.

6 THEN THEY SAID THE KEY WEAKNESS WAS YOU
7 CAN'T SHOW MIGRATION. DR. LAFERLA HAS TOLD ME THAT
8 TODAY THE *JOURNAL OF NEUROSCIENCE* ACCEPTED THE
9 PUBLICATION OF THE DATA DEMONSTRATING MIGRATION. IT
10 WAS STATED PREVIOUSLY IN THE APPLICATION, BUT IT
11 WASN'T ACCEPTED FOR PUBLICATION. IT NOW IS. THAT
12 IS THE FUNDAMENTAL WEAKNESS THAT THEY IDENTIFIED IN
13 THIS APPROACH.

14 SO WE HAVE A REAFFIRMED APPROACH TO THE
15 HIPPOCAMPUS BY THREE DIFFERENT PEER REVIEW GROUPS
16 AND A SUBSTANTIAL PORTION OF THESE REVIEWERS ALONG
17 WITH DATA DEALING WITH THE WEAK POINT. I'M SORRY IT
18 HAPPENED TODAY. THE DATA WAS OUT THERE, ACCEPTED
19 FOR PUBLICATION TODAY, MEANS THAT IT SHOULD
20 DEFINITELY FALL INTO THIS CATEGORY. AND, OF COURSE,
21 DR. TROUNSON WOULDN'T HAVE BEEN ABLE TO REVIEW THAT
22 IN PROCESS BECAUSE HE WAS RECUSED FROM THIS GRANT BY
23 HIS OWN VOLUNTARY RECUSAL. SO THE PROGRESS OF THIS
24 DATA BEING ACCEPTED FOR PUBLICATION IS NEW
25 INFORMATION TODAY.

BARRISTERS' REPORTING SERVICE

1 IF I LOOK AT THE ENTIRE HISTORY OF CIRM,
2 AS LEEZA SAYS, BUILDING UP TO THIS POINT, WE HAVE
3 REAFFIRMED THIS APPROACH FROM THE VERY BEGINNING
4 WITH DR. LAFERLA, WITH MULTIPLE SCIENTIFIC
5 APPROVALS, AND BOARD APPROVAL, AND WE HAVE THE BEST
6 COMPANY IN NORTH AMERICA WITH THE GREATEST
7 EXPERIENCE WITH THESE NEURAL STEM CELLS, WITH THE
8 BEST RESEARCHER WE HAVE FOR THE POTENTIAL TO ADDRESS
9 THIS DISEASE, AND WE HAVE BRAND-NEW DATA THAT
10 DEMONSTRATES AND TOTALLY CONTRADICTS THE KEY
11 WEAKNESS ON WHICH IT WAS DOWNGRADED. THANK YOU.

12 MR. REED: YOU ALL KNOW THE REASON FOR MY
13 ADVOCACY IS SITTING BEHIND ME, BUT I THINK THIS
14 PARTICULAR PROJECT COULD BE THE MOST IMPORTANT
15 SINGLE PROJECT THAT CIRM DOES. I WANT TO TELL YOU
16 WHY.

17 I DID AN ARTICLE FOR *HUFFINGTON POST*
18 CALLED "RESCUING COGNITION," AND I WAS ASKED TO DO
19 THIS BY SOMEBODY WHOSE FAMILY HAS ALZHEIMER'S. I
20 DID AN OVERVIEW OF THE WHOLE FIELD. AND I FOUND
21 NOTHING BUT DISCOURAGEMENT, DESPAIR, DISAPPOINTMENT,
22 FAILURE. IT CAN'T BE DONE EXCEPT ONE PROJECT AND
23 THAT'S THIS ONE, THE EARLY STAGES.

24 I'M A DIVER. I WORKED IN MARINE WORLD 17
25 YEARS. AND TO BE IN THE WATER AND BE LOST IS

BARRISTERS' REPORTING SERVICE

1 TERRIFYING. HOW THEY TESTED THIS WAS THEY PUT MICE
2 IN THE WATER WITH A WATER MAZE, AND THEY TAUGHT THEM
3 HOW TO NAVIGATE THIS WATER MAZE SO THAT WHEN THEY
4 WERE EXHAUSTED THEY COULD FIND A WAY TO LIVE. AND
5 THEN THEY TOOK AWAY THAT MEMORY, AND THEY COULDN'T
6 DO IT EVEN IF THEY DIED, AND THEN THEY GOT IT BACK.
7 THEY GOT BACK THEIR MEMORY. NOWHERE ELSE ON EARTH
8 ARE THEY GETTING BACK MEMORY FOR THIS CONDITION
9 EXCEPT WITH THESE PEOPLE. I URGE YOU TO SUPPORT IT.
10 THANK YOU.

11 CHAIRMAN THOMAS: FURTHER PUBLIC COMMENT
12 EITHER HERE OR AT ANY OF OUR OTHER LOCATIONS? OKAY.
13 WE'VE NOW HEARD PUBLIC COMMENT. LET'S GO BACK TO
14 FURTHER BOARD DISCUSSION.

15 MS. SAMUELSON: I'M INCLINED TO SUPPORT
16 THIS GRANT, AND I WANT TO TELL YOU WHY BECAUSE IT
17 PERTAINS TO PROBABLY MOST OF THE GRANTS THAT WE'RE
18 GOING TO BE REVIEWING. I SEE A BLEND IN OUR
19 DECISION-MAKING OF THE SCIENCE AND THE PROGRAMMATIC
20 ISSUES, AND I DON'T THINK WE ANY LONGER CAN SEPARATE
21 THEM SUCH THAT WE WOULD SAY THAT WE'RE NOT GOING TO
22 REREVIEW THE SCIENCE IN PROGRAMMATIC REVIEW OR THAT
23 IT'S GOT GOOD SCIENCE OR BAD SCIENCE AS PER THE
24 SCIENTISTS DOING THEIR SCORING, PARTICULARLY IN THIS
25 GRANT CYCLE, BUT I THINK IT'S GOING TO PERTAIN TO

BARRISTERS' REPORTING SERVICE

1 MANY OTHERS.

2 AND THAT IS WHERE THE APPLICANTS ARE ASKED
3 TO DEMONSTRATE WHY THEY CAN TAKE THE GRANT TO AN IND
4 WITHIN FOUR YEARS. AND I'M WATCHING THE PARKINSON'S
5 GRANTS GETTING HAMSTRUNG BY THAT EVERY TIME AND THEN
6 HUNG OUT TO DRY IN THE SCIENTIFIC REVIEW BECAUSE
7 THEY'RE TOLD YOU HAVEN'T PROVEN TO OUR SATISFACTION
8 THAT IT CAN GET TO AN IND IN FOUR YEARS OR THEY'RE
9 TOLD YOU'RE UNREALISTIC IN DOING THAT. AND BY
10 DEFINITION, TO APPLY FOR THE FUNDS, THEY HAVE TO
11 SHOW THAT. AND IT'S AMBITIOUS PROBABLY IN EVERY
12 CASE AND FOR OBVIOUS REASONS. THESE ARE DISEASES
13 FOR WHICH THERE'S BEEN NO KNOWN CURE FOREVER, AND
14 VERY LITTLE HAS BEEN KNOWN ABOUT THE SCIENCE, AND
15 SUDDENLY WE HAVE THIS EXPLOSION OF KNOWLEDGE AND THE
16 POTENTIAL OF REGENERATIVE SOLUTIONS.

17 AND WE'RE ASKING RESEARCHERS IN THESE
18 AREAS TO TAKE QUANTUM LEAPS AND TO USE THE PEOPLE'S
19 FUNDING FOR IT, AND THAT'S WHY THE PEOPLE OF
20 CALIFORNIA SAID THEY WANTED TO SPEND THE MONEY,
21 BECAUSE THEY WANTED TO SOLVE THESE PROBLEMS NOW AND
22 NOT SUFFER ANY LONGER. SO THEY WANT US TO BE
23 AGGRESSIVE. AND IT'S A TERRIBLE DILEMMA, BUT I
24 THINK WE CAN SOLVE IT IF THERE IS SOME SIGNIFICANT
25 ADVANCE THAT CAN BE MADE IN A GIVEN GRANT. IT

BARRISTERS' REPORTING SERVICE

1 DOESN'T NEED TO BE ABLE TO ACHIEVE AN IND IN FOUR
2 YEARS TO BE SUCCESSFUL. MAYBE IT WILL TAKE FIVE.
3 OH, MY GOODNESS. THAT COULD BE A TREMENDOUS STEP
4 FORWARD NOTWITHSTANDING IT DOESN'T MEET THAT
5 DEADLINE.

6 SO I THINK WE'RE GOING TO FACE THESE
7 VARIOUS ISSUES IN MANY, IF NOT MOST, OF OUR
8 DECISIONS IN THE FUTURE, AND I WOULD URGE US TO
9 THINK ABOUT MORE FLEXIBLE STANDARDS. AND DOING IT
10 WITHIN THE CONTEXT OF THE GRANTS WE HAVE, MAYBE IT'S
11 A PROBLEM WITH THE RFA. BUT MAYBE WE HAVE TO TRY TO
12 SOLVE IT WITHIN THE DECISION-MAKING WE'RE DOING NOW
13 BECAUSE WE JUST SHOULDN'T WAIT FOR ANOTHER RFA
14 DOWNSTREAM. THANK YOU.

15 DR. KRONIRIS: MR. CHAIRMAN, A REQUEST
16 FOR INFORMATION. IS THERE A MOTION ON THE FLOOR TO
17 MOVE THIS UP?

18 CHAIRMAN THOMAS: NOT YET. WE'RE STILL
19 DISCUSSING.

20 DR. KRONIRIS: THEN I JUST WOULD PUT
21 FORWARD I'M JUST WONDERING WHY THIS GRANT WOULD BE,
22 SINCE THERE'S EVIDENTLY NEW INFORMATION PRESENTED
23 AND PUBLICATION ON A CRITICAL ISSUE, WHY THIS
24 WOULDN'T BE TREATED LIKE THE TWO PREVIOUS GRANTS AND
25 GO BACK FOR STAFF AND PEER REVIEW.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: WELL, WOULD YOU LIKE TO
2 MAKE A MOTION TO THAT EFFECT?

3 DR. Krontiris: YES, I WOULD.

4 MR. TORRES: I SECOND THE MOTION.

5 CHAIRMAN THOMAS: SO THERE'S NOW A MOTION
6 ON THE FLOOR. IS THERE FURTHER DISCUSSION ON THIS
7 MOTION?

8 DR. PRICE: POINT OF INFORMATION. FIRST
9 IS IT'S TRUE THE PUBLICATION JUST CAME OUT, BUT THE
10 QUESTION I HAVE IS WHETHER THE WORKING GROUP HAD
11 ACCESS TO THE DRAFT OF THAT PAPER OR THE DATA THAT'S
12 IN THAT PAPER. THE FACT THAT THE PAPER JUST CAME
13 OUT DOES NOT MEAN THAT THE WORKING GROUP DIDN'T HAVE
14 ACCESS TO THE DATA UPON WHICH THE PAPER WAS BASED.

15 MR. TORRES: AS A MEMBER OF THAT WORKING
16 GROUP, MR. CHAIRMAN, I NEVER SAW THAT, NOR WAS IT
17 MENTIONED.

18 CHAIRMAN THOMAS: I WOULD, IN RESPONSE TO
19 THAT QUESTION, SAY THAT IF, WHICH WE TAKE FROM MR.
20 KLEIN, THAT IS WHAT THE PUBLICATION SAYS, I DON'T
21 THINK THEY WOULD HAVE HAD THE MIGRATION ISSUE AS
22 SORT OF ONE OF THEIR STRONGEST OBJECTIONS TO THE --

23 DR. PRICE: SCIENTIFIC STAFF SHOULD BE
24 ABLE TO TELL US WHETHER OR WE SHOULD BE ABLE TO SEE
25 WHETHER THAT DATA WAS IN. THE PAPER MAY HAVE JUST

BARRISTERS' REPORTING SERVICE

1 COME OUT, BUT THE DATA AND THE PAPER IS DRAFTED LONG
2 BEFORE THE PUBLICATION ACTUALLY COMES OUT.

3 DR. FEIGAL: WELL, I CAN ANSWER THE
4 QUESTION. AND, NO, THERE WAS NOT A PREPRINT OF THE
5 PAPER THAT WAS PROVIDED. THERE WAS NOT A DRAFT OF
6 THE PAPER THAT WAS PROVIDED.

7 DR. PRICE: WHAT ABOUT THE DATA IN THE
8 PAPER? WAS THAT PART OF IT?

9 DR. FEIGAL: WITHOUT HAVING THE PUBLISHED
10 PAPER IN HAND THAT WAS JUST PUBLISHED TODAY, I DON'T
11 THINK I CAN ANSWER THE QUESTION UNTIL I SEE THE DATA
12 THAT'S IN THAT PAPER.

13 CHAIRMAN THOMAS: WE HAVE OUR DOCTORS HERE
14 TO RESPOND PERHAPS.

15 DR. HUHN: IS IT POSSIBLE FOR ME TO SPEAK
16 AGAIN? SO WITH REGARD TO THE DISCUSSION MATTER
17 CONCERNING SPECIFICALLY MIGRATION AND THE MIGRATION
18 PROPERTIES OF THE HUMAN NEURAL STEM CELL, PERHAPS WE
19 ARE NOT CLEAR ENOUGH ON THIS IN OUR THREE MINUTES.
20 BUT THE MIGRATORY CAPABILITIES OF THE CELL HAVE BEEN
21 PUBLISHED IN THE DATA FROM STEM CELLS. WE'VE ALSO
22 PUT IN THE GRANT THAT WE FOUND EVIDENCE OF MIGRATION
23 IN TWO PATIENTS WHO WERE AVAILABLE FOR POSTMORTEM
24 ANALYSIS IN ONE OF OUR PHASE I TRIALS.

25 SO THE PROPERTY CONCERNING MIGRATION IS

BARRISTERS' REPORTING SERVICE

1 WELL ESTABLISHED. IT WAS IN THE GRANT. AND IT'S
2 ONE OF THE ELEMENTS WE THINK WOULD HELP US ADDRESS A
3 DIFFUSE DISORDER. THE PAPER THAT'S BEING REFERRED
4 TO NOW IS SIMPLY, IN ESSENCE, CONFIRMATORY EVIDENCE,
5 ADDITIONAL CONFIRMATORY EVIDENCE, THAT THE MIGRATORY
6 PROPERTIES OF THE NEURAL STEM CELLS ARE SEEN ACROSS
7 MULTIPLE DIFFERENT CELLS.

8 DR. FEIGAL: SO I THINK THE ANSWER TO THE
9 QUESTION, THEN, IS, YES, THERE WAS DATA. AND IF
10 WHAT YOU'RE SAYING ABOUT THE NEW PUBLICATION IS
11 CORRECT, THEN WE WOULD HAVE SEEN IT IN THE
12 APPLICATION.

13 CHAIRMAN THOMAS: OKAY. HAVING SAID THAT,
14 JUST FOR THE RECORD, FOR THE BOARD'S KNOWLEDGE, THE
15 GRANTS WORKING GROUP STILL CITED THAT AS ONE OF THE
16 PRINCIPAL ISSUES, SAYING THERE WAS NO EVIDENCE OF
17 MIGRATION. SO THAT WAS -- I CAN SEE WHY THERE'S
18 SOME CONFUSION ON THIS ISSUE.

19 DR. LAFERLA: JUST TO BE CLEAR ON THIS,
20 THE PAPER WAS ACCEPTED TODAY. IT WASN'T PUBLISHED
21 TODAY. SO IT WILL BE PUBLISHED IN A FUTURE DATE.
22 AND THE ASSERTION THAT THESE DATA WERE INCLUDED WAS
23 NOT TRUE. SO THESE ARE BRAND-NEW DATA THAT THEY
24 WOULD NOT HAVE SEEN, BUT IT SPEAKS TO TWO IMPORTANT
25 ISSUES, THAT MULTIPLE CELLS DO MIGRATE, AND,

BARRISTERS' REPORTING SERVICE

1 IMPORTANTLY, THAT THEY MIGRATE OUT OF THE
2 HIPPOCAMPUS IN THE DISEASED BRAIN.

3 SO DESPITE THE FACT THAT THESE ANIMALS
4 HAVE SUCH EXTENSIVE PLAQUES AND TANGLES AND SEVERE
5 MEMORY IMPAIRMENTS, THESE CELLS GET OUT OF THE SITE
6 OF INJECTION. SO I THINK THAT'S THE CRITICAL POINT
7 HERE.

8 CHAIRMAN THOMAS: THANK YOU.

9 DR. FEIGAL: I STAND CORRECTED THEN.
10 APPARENTLY WE HAVE NOT SEEN IT.

11 DR. POMEROY: J.T., I HAVE A PROCESS
12 QUESTION. SO I'M VERY SUPPORTIVE OF THIS PROCESS BY
13 WHICH WE ARE ALLOWED TO ACCEPT NEW INFORMATION AND
14 SEND IT BACK FOR REREVIEW. IT'S THE PROCESS I'M
15 TALKING ABOUT. BUT THE QUESTION IS WHAT IS THE
16 PROCESS WITHIN THAT PROCESS FOR SUBMITTING THE
17 EVIDENCE OF NEW DATA BECAUSE MOST PEOPLE SUBMITTED
18 THEIR NEW DATA, AT LEAST ON THE FIRST TWO ONES WE
19 SAW, THROUGH AN EXTRAORDINARY PETITION, SOMETHING IN
20 WRITING. AND DOES OUR PROCESS ALLOW THE NEW
21 INFORMATION TO BE PRESENTED IN PUBLIC SESSION?

22 CHAIRMAN THOMAS: MY RESPONSE -- VERY GOOD
23 QUESTION, DEAN POMEROY. MY RESPONSE IS IF THERE IS
24 NEW DATA BEFORE THE BOARD THAT CAN FURTHER
25 ILLUMINATE WHETHER WE SHOULD RECONSIDER THIS FOR

BARRISTERS' REPORTING SERVICE

1 FUNDING, THAT EVEN THOUGH IT CAME UP SUBSEQUENT TO
2 THE FILING OF THE EXTRAORDINARY PETITION, THAT IT
3 SHOULD BE ALLOWED AS PART OF OUR PROCESS GOING
4 FORWARD.

5 ARE THERE OTHER THOUGHTS ON THAT BY
6 MEMBERS OF THE BOARD?

7 DR. POMEROY: THANK YOU FOR THAT
8 CLARIFICATION.

9 DR. SAMBRANO: I JUST WANT TO CLARIFY, IN
10 TERMS OF PROCESS, THAT INFORMATION ALSO NEEDS TO BE
11 AVAILABLE SO THAT WE CAN THEN PROVIDE IT TO
12 REVIEWERS TO ASSESS. SO IN SOME WAY IT DOES NEED TO
13 BE MADE AVAILABLE.

14 CHAIRMAN THOMAS: ABSOLUTELY. THAT GOES
15 WITHOUT SAYING. THANK YOU.

16 MR. TORRES: SO I THINK 41 DAYS WOULD
17 ALLOW US TO HAVE THAT INFORMATION TO REVIEW,
18 CORRECT? THANK YOU.

19 CHAIRMAN THOMAS: I THINK WE HAVE A GOOD
20 HANDLE ON THIS ISSUE AS TO WHETHER OR NOT WE SHOULD
21 REFER THIS. AND PENDING FURTHER DISCUSSION, I'D
22 LIKE TO CALL THE QUESTION HERE.

23 MS. SAMUELSON: JUST --

24 DR. POMEROY: CAN YOU REPEAT THE MOTION
25 THAT WE'RE CALLING THE QUESTION ON?

BARRISTERS' REPORTING SERVICE

1 MR. HARRISON: THE MOTION IS TO REFER
2 APPLICATION 5416 FOR ADDITIONAL REVIEW OF NEW
3 INFORMATION BY THE PEER REVIEW GROUP WITH DIRECTION
4 TO THE PRESIDENT -- I'VE OMITTED VICE CHAIR SHEEHY
5 SINCE HE HAS A CONFLICT -- TO DETERMINE THE MAKEUP
6 OF THE PEER REVIEW PANEL OR SUBSET OF THE PANEL AND
7 REQUEST THAT THE PEER REVIEW RECOMMENDATION BE
8 PRESENTED TO THE BOARD AT ITS NEXT REGULARLY
9 SCHEDULED MEETING, WHICH IS CURRENTLY SEPTEMBER 6TH.

10 CHAIRMAN THOMAS: MARIA, PLEASE CALL THE
11 ROLL.

12 MS. BONNEVILLE: ROBERT PRICE.

13 DR. PRICE: AYE.

14 MS. BONNEVILLE: TED KRONIRIS.

15 DR. KRONIRIS: YES.

16 MS. BONNEVILLE: LEEZA GIBBONS.

17 MS. GIBBONS: YES.

18 MS. BONNEVILLE: STEPHEN JUELGAARD.

19 DR. JUELGAARD: YES.

20 MS. BONNEVILLE: SHLOMO MELMED.

21 DR. MELMED: YES.

22 MS. BONNEVILLE: FRANCISCO PRIETO.

23 DR. PRIETO: AYE.

24 MS. BONNEVILLE: ROBERT QUINT.

25 DR. QUINT: YES.

BARRISTERS' REPORTING SERVICE

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MS. BONNEVILLE: DUANE ROTH.
MR. ROTH: YES.
MS. BONNEVILLE: JOAN SAMUELSON.
MS. SAMUELSON: YES.
MS. BONNEVILLE: DAVID SERRANO-SEWELL.
MR. SERRANO-SEWELL: YES.
MS. BONNEVILLE: JONATHAN SHESTACK.
MR. SHESTACK: YES.
MS. BONNEVILLE: JONATHAN THOMAS.
CHAIRMAN THOMAS: YES.
MS. BONNEVILLE: ART TORRES.
MR. TORRES: AYE.
MS. BONNEVILLE: KRISTINA VUORI.
DR. VUORI: YES.
CHAIRMAN THOMAS: OKAY. THAT MOTION
CARRIES. IT WILL BE REFERRED FOR FURTHER REVIEW
UNDER THE ADDITIONAL ANALYSIS PROTOCOL.
MS. SAMUELSON: MR. CHAIRMAN, WAS THE
PATIENT ADVOCATE INPUT IN THE EVALUATION OF IT WITH
STAFF? I THINK THERE SHOULD BE.
CHAIRMAN THOMAS: YES. I THINK MR. SHEEHY
AND DR. TROUNSON ARE GOING TO WORK THAT OUT.
DR. FEIGAL: WHAT I'D LIKE TO COMMENT IS
SINCE MR. SHEEHY AND DR. TROUNSON ARE CONFLICTED, IT
WILL BE DELEGATED TO ME TO WORK THIS OUT.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: GOOD POINT. CAN I ASK A
2 LOGISTICAL QUESTION?

3 MS. BONNEVILLE: LUNCH. IT'S A WORKING
4 LUNCH, SO THERE'S BOX LUNCH WHERE WE HAD BREAKFAST.
5 SO IF EVERYONE COULD GRAB THEIR LUNCH AND COME BACK.
6 FIFTEEN MINUTES OR SO.

7 CHAIRMAN THOMAS: WE HAVE QUITE A BIT MORE
8 TO GO.

9 MR. SHESTACK: CAN I ASK A PROCEDURAL
10 QUESTION? TRADITIONALLY MY RECOLLECTION IS THAT IN
11 THE PAST WHEN WE'VE DONE THIS PROCESS, WE WILL GO
12 THROUGH ALL OF THE GRANTS THAT PEOPLE MIGHT WANT TO
13 RECONSIDER WHICH TIER THEY'RE PLACED IN; AND THEN
14 HAVING GONE THROUGH ALL OF IT, SO THERE'S SOMEWHAT
15 OF A COMPARISON IN A WAY, MAKE MOTIONS ONE AFTER THE
16 OTHER. BUT NOW IT SEEMS LIKE WE'RE GOING -- WITH
17 EACH GRANT WE MAKE A DISCRETE DECISION. IS THAT HOW
18 YOU HAD ANTICIPATED IT BEING BECAUSE REALLY, IN
19 GENERAL, WITH THIS PROCESS IT'S NOT SO MUCH DECIDING
20 WHETHER THERE'S NEW INFORMATION, BUT DECIDING AS A
21 GROUP WHETHER YOU WANT TO CHANGE THE PAYLINE.

22 YOU MIGHT JUST SAY THIS GRANT WORKING
23 GROUP WAS VERY, VERY CONSERVATIVE AND WE WANT TO BE
24 MORE EXPANSIVE. BUT THAT KIND OF GLOBAL DISCUSSION
25 DOESN'T HAPPEN WHEN WE DO FULL CONSIDERATION PROJECT

BARRISTERS' REPORTING SERVICE

1 BY PROJECT.

2 CHAIRMAN THOMAS: I THINK, MR. SHESTACK,
3 THAT'S AN EXCELLENT QUESTION. MY VIEW ON THAT IS
4 THAT THERE'S SUCH EXTENSIVE DISCUSSION IN CONNECTION
5 WITH EACH, THAT IF WE POSTPONE MAKING MOTIONS TILL
6 AFTER THEY'VE ALL BEEN LAID ON THE TABLE, THAT WE
7 WILL NOT BE ABLE TO KEEP A LOT OF THE FACTS
8 STRAIGHT, WILL NOT BE ABLE TO MAKE AN INFORMED
9 DECISION. SO I PERSONALLY THINK THAT WE SHOULD
10 CONTINUE AS WE'RE DOING IN TAKING EACH ONE ON ITS
11 INDIVIDUAL BASIS.

12 SO, MEMBERS OF THE BOARD, PLEASE GO GET
13 YOUR LUNCH AND PLEASE COME BACK AS SOON AS POSSIBLE
14 SO WE CAN RESUME. THANK YOU.

15 (A RECESS WAS TAKEN.)

16 CHAIRMAN THOMAS: EVERYBODY, PLEASE TAKE
17 YOUR SEATS. WE WANT TO RESUME. WE'VE GOT SOME
18 PEOPLE WHO HAVE BEEN VERY PATIENT WAITING OUT HERE.
19 OKAY.

20 MR. SERRANO-SEWELL, I KNOW YOU HAD A
21 PROJECT YOU WANTED TO BRING BEFORE THE BOARD.

22 MR. SERRANO-SEWELL: THANK YOU, CHAIRMAN.
23 I'D LIKE TO BRING UP THE NEXT ITEM FOR DISCUSSION,
24 APPLICATION 5320. HAS A SCORE OF 64. THIS IS WITH
25 REGARDS TO TREATMENT FOR ALS.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: THE NUMBER, AGAIN, WAS
2 5320?

3 MR. SERRANO-SEWELL: YES.

4 CHAIRMAN THOMAS: SO WE HAVE A REQUEST TO
5 HAVE A STAFF REPORT ON ITEM 5320, WHICH IS THE ALS
6 PROJECT PROPOSED BY CEDARS-SINAI.

7 DR. FEIGAL: KAREN BERRY.

8 DR. BERRY: THANK YOU, MR. CHAIRMAN. THIS
9 IS APPLICATION 5320. THIS APPLICANT PROPOSES TO
10 DEVELOP AND TEST A GLIAL CELL-DERIVED NEUROTROPHIC
11 FACTOR LABELED GDNF, FROM NOW ON VEG-F. AND THAT'S
12 AN EXPRESSION IN A HUMAN NEURAL PROGENITOR CELL AS A
13 THERAPY FOR ALS. AND AS WE ALL KNOW, ALS IS A
14 LETHAL DISEASE AND CHARACTERIZED BY SEVERE LOSS OF
15 BRAIN AND SPINAL CORD MOTOR NEURONS AND THEIR
16 ASSOCIATED SUPPORT CELLS CALLED ASTROCYTES. THIS
17 CELL LOSS LEADS TO MUSCLE WEAKNESS, PARALYSIS,
18 RESPIRATORY FAILURE, AND DEATH USUALLY WITHIN ABOUT
19 FOUR YEARS.

20 THE RATIONALE BEHIND THIS COMBINATION
21 THERAPY IS THAT THE HUMAN NEURAL PROGENITOR CELLS
22 WILL DIFFERENTIATE TO REPLACE DEGENERATING
23 ASTROCYTES WHILE THE EXPRESSION OF THE GROWTH
24 FACTOR, THE GDNF, WILL HAVE A NEUROPROTECTIVE
25 EFFECT.

BARRISTERS' REPORTING SERVICE

1 THE GOALS OF THIS PROPOSAL ARE TO PERFORM
2 PRECLINICAL SAFETY AND EFFICACY STUDIES IN RELEVANT
3 ANIMAL MODELS AND TO CARRY OUT A PHASE I CLINICAL
4 TRIAL IN ALS PATIENTS TO ESTABLISH SAFETY.

5 AS PART OF THE REVIEW, I'LL AGREE THAT
6 THIS CERTAINLY IS A DEVASTATING DISEASE AND IS A
7 SIGNIFICANT UNMET MEDICAL NEED AND FOR BETTER
8 THERAPIES TO BE FOUND. REVIEWERS QUESTIONED THE
9 RATIONALE OF WHETHER THIS FOCAL THERAPY WOULD HAVE
10 IMPACT ON THIS DIFFUSE DISEASE. ONE OF THE MAJOR
11 CONCERNS WAS THE LACK OF CONVINCING PRECLINICAL
12 DATA. AND IN A FIGURE MEASURING A FUNCTIONAL
13 ENDPOINT, THE EFFICACY DATA FOR THE STEM CELL GDNF
14 TREATED-GROUP WAS CONSIDERED MINIMALLY DIFFERENT
15 COMPARED TO THE NON-GDNF GROUP.

16 THERE WAS ALSO CONCERN REGARDING THE
17 POTENTIAL EFFICACY OF GDNF REFERRING TO PREVIOUS
18 CLINICAL TRIAL DATA USING GDNF AND THAT GDNF APPEARS
19 ONLY IMPORTANT IN EARLY STAGES OF THE DISEASE ONSET.

20 THE PI HAS CONDUCTED TWO PREMEETINGS WITH
21 THE FDA AND HAS RECEIVED DETAILED SUGGESTIONS FOR
22 THE PIVOTAL IND-ENABLING STUDIES. THE PI AND THE
23 TEAM ARE EXCELLENT AND HAVE EXPERIENCE IN
24 TRANSLATIONAL NEUROSCIENCE AND CLINICAL TRIALS IN
25 ALS.

BARRISTERS' REPORTING SERVICE

1 THE BUDGET COMMENTS WERE RELATED TO THERE
2 WAS AN APPROXIMATE \$300,000 PER PATIENT FOR THE
3 CLINIC SITES, AND THE REVIEWERS THOUGHT THAT WAS
4 HIGH AND SHOULD BE CLOSER TO 50 TO \$80,000 PER
5 PATIENT, AND THERE WAS AN EXTRAORDINARY PETITION
6 FILED.

7 CHAIRMAN THOMAS: THANK YOU, DR. BERRY.
8 COMMENTS BY MEMBERS OF THE BOARD. MR.
9 SERRANO-SEWELL.

10 MR. SERRANO-SEWELL: THANK YOU, CHAIRMAN.
11 I THINK WE HAVE THIS NOW THIRD WAY OF REFERRING BACK
12 FOR FURTHER REVIEW OF ADDITIONAL INFORMATION.
13 CERTAINLY THIS, IN MY VIEW, QUALIFIES. THERE IS
14 SOME NEW ADDITIONAL INFORMATION THAT WE HAVE. AND
15 YOU CAN SPEAK TO IT. I KNOW GIL HAS THE LETTER,
16 WHICH IS VERY PERTINENT. OR WE CAN SORT OF, AS
17 SENATOR TORRES MENTIONED EARLIER AT OUR MEETING, WE
18 HAVE THIS OPTION AS WE ALWAYS HAVE HAD. THAT IS TO
19 LOOK AT WHERE THAT LINE IS AND SORT OF LOOK AT OTHER
20 GRANTS AND, IF THEY'RE WORTHY, FUND THEM.

21 AND I WOULD SAY TO YOU THAT THIS IS SUCH A
22 GRANT, SUCH AN APPLICATION. AS NOTED BY THE DOCTOR,
23 THE HEAD RESEARCHER IS ONE OF THE BEST, AND HE'S
24 HERE IN CALIFORNIA BECAUSE OF PROP 71. HE WAS
25 RECRUITED AND HE'S DOING MAGNIFICENT WORK IN

BARRISTERS' REPORTING SERVICE

1 SOUTHERN CALIFORNIA.

2 TWO, BECAUSE IT IS SUCH A STRONG TEAM AND
3 THE REGULATORY EXPERTS THOUGHT VERY HIGHLY OF THIS,
4 THEY THOUGHT IT CAN GET OUT THERE. AND IF WE'RE
5 GOING TO TAKE A GAMBLE ON ANYTHING, WHICH IS WHAT WE
6 DO HERE, GAMBLE MIGHT BE TOO STRONG OF A WORD, IF
7 WE'RE GOING TO GET BEHIND ANY SORT OF APPLICATION,
8 IT'S THIS ONE. THE BEST SCIENTIST, A VERY
9 CHALLENGING AND DIFFICULT DISEASE, ONE IN WHICH OUR
10 PORTFOLIO IS ON THE SMALLER END. AND AS A
11 PROGRAMMATIC CONSIDERATION, I WOULD SUGGEST, WHEN WE
12 GET THE TESTIMONY, THAT WE OUGHT TO FUND IT, THAT WE
13 OUGHT TO PURSUE THAT OPTION.

14 CHAIRMAN THOMAS: OTHER COMMENTS?

15 MS. SAMUELSON: YES. I'M WONDERING IF WE
16 COULD HEAR FROM THE EXTRAORDINARY PETITION WRITERS
17 BEFORE WE PROCEED WITH FURTHER COMMENT FROM THE
18 BOARD?

19 CHAIRMAN THOMAS: I THINK THAT'S PERFECTLY
20 OKAY. MR. SHESTACK, DO YOU WANT TO COMMENT BEFORE
21 WE DO THAT?

22 MR. SHESTACK: I JUST WANTED TO SAY THAT I
23 ACTUALLY I AGREE WITH DAVID, THAT WHAT SEEMS
24 APPROPRIATE ON THIS WE SHOULD HEAR THE EXTRAORDINARY
25 PETITION, BUT IS NOT SO MUCH A REMANDING FOR

BARRISTERS' REPORTING SERVICE

1 SCRUTINY AGAIN, BUT IT IS IN OUR PURVIEW TO MOVE
2 SOMETHING UP. AND I THINK THAT THE APPROPRIATE
3 THING IS TO DO WHAT WE'VE ALWAYS DONE, TO MAKE A
4 DECISION ON MOVING IT UP OR NOT, SO I AGREE WITH
5 THAT POSITION.

6 MS. GIBBONS: CAN WE LOOK AT WHERE WE ARE
7 WITH REGARD TO THE BUDGET IF WE MOVE, FOR EXAMPLE,
8 THIS OR ANY OTHER ONE UP, HOW MUCH WE BUDGETED AND
9 HOW MUCH WAS RECOMMENDED FOR FUNDING. WHERE ARE WE
10 WITH THAT LEVEL? AND THEN WE'VE GOT THESE OTHER
11 THREE RIGHT NOW JUST KIND OF IN LIMBO THAT
12 POTENTIALLY WOULD COME OUT OF THAT BUDGET AS WELL,
13 CORRECT?

14 CHAIRMAN THOMAS: THE BUDGETED AMOUNT
15 DISCUSSED BY THE BOARD FOR THIS ROUND WAS 240
16 MILLION. THE SIX APPROVED AWARDS TOTAL 113 MILLION.
17 WE DON'T KNOW THE ULTIMATE FATE OF THE THREE
18 PROPOSALS NOW BACK FOR RECONSIDERATION. I WOULD
19 NOTE THAT WE SHOULD NOT FEEL LIKE WE HAVE TO GET TO
20 240. WE SHOULD BE APPROVING WHAT WE FEEL TO BE THE
21 MOST PRUDENT PROPOSALS THAT ARE BEFORE US.

22 SO AS MUCH AS WE HAVE THAT AS A TARGET,
23 AND IT MAY BE WE ULTIMATELY DECIDE THAT WE GET TO
24 THAT TARGET, BUT DON'T FEEL THAT THAT'S NECESSARY.

25 DR. TROUNSON: I THINK THAT -- I HAVEN'T

BARRISTERS' REPORTING SERVICE

1 ACTUALLY READ THE LETTER, BUT I UNDERSTAND THAT
2 THERE'S NO REAL NEW INFORMATION, NO EXPERIMENTAL
3 INFORMATION IN THAT LETTER. SO I'M NOT SO SURE
4 NECESSARILY REVIEWING IT BACK IS THE BEST CHOICE AT
5 THIS POINT IN TIME. I THINK MAYBE YOU SHOULD MAKE A
6 DECISION, BOARD, WITH RESPECT -- I'M BEING
7 SUPPORTIVE OF WHAT JON SHESTACK JUST SAID.

8 CHAIRMAN THOMAS: OKAY. I'M SURE THERE
9 WILL BE SOME COMMENTS ON THAT PARTICULAR STATEMENT
10 THERE. COULD WE PLEASE HAVE MEMBERS OF THE PUBLIC
11 WHO WOULD LIKE TO PRESENT TESTIMONY?

12 DR. STEWARD: DO WE HAVE A MOTION ON THE
13 FLOOR?

14 CHAIRMAN THOMAS: NO, WE DON'T. WE DON'T
15 HAVE A MOTION YET.

16 DR. STEWARD: I'M LOOKING AT DAVID
17 ACTUALLY.

18 MR. SERRANO-SEWELL: I WAS GOING TO SEE
19 HOW LONG WE CAN GO BEFORE SOMEBODY NOTICED. AS A
20 MOTION, WE'VE HAD DISCUSSION WITHOUT A FORMAL --

21 CHAIRMAN THOMAS: THE PREVIOUS TOPICS WE
22 HAVE HAD TESTIMONY IN SOME INSTANCES BEFORE
23 FORMULATING A MOTION. IT WOULD BE THE LAST ONE, FOR
24 EXAMPLE. SO YOU CAN EITHER FEEL FREE TO MAKE A
25 MOTION NOW, OR YOU AN WAIT TO HEAR THE PUBLIC

BARRISTERS' REPORTING SERVICE

1 COMMENT AND THEN MAKE THE MOTION.

2 MR. SERRANO-SEWELL: I'D LIKE TO HEAR
3 PUBLIC COMMENT, AND THEN I WILL MAKE A MOTION.

4 CHAIRMAN THOMAS: THANK YOU. MEMBERS OF
5 THE PUBLIC WHO WOULD LIKE TO PRESENT TESTIMONY,
6 PLEASE.

7 DR. SVENDSEN: THANK YOU VERY MUCH. I'M
8 CLIVE SVENDSEN FROM CEDARS-SINAI. I'M THE PI ON
9 THIS GRANT. I'VE BEEN WORKING IN THE FIELD OF ALS
10 FOR TEN YEARS NOW.

11 FIRST, I'D LIKE TO THANK CIRM AND THE
12 REVIEW PANEL FOR THEIR COMMENTS. WE'RE VERY
13 ENCOURAGED THAT THE SCORE IS 64 OUT OF A HUNDRED
14 THAT I'VE BEEN LOOKING AT WAS RIGHT -- THE
15 RECOMMENDED FUNDING GROUP RIGHT ON THE EDGE.
16 REVIEWERS STATED THAT OUR TRIAL COULD HAVE
17 GROUNDBREAKING IMPACT ON THE TREATMENT OF ALS, AND
18 WE COULDN'T AGREE MORE. THAT'S WHY WE PUT IN THE
19 EXTRAORDINARY PETITION WITH ONE NEW PIECE OF
20 INFORMATION THAT JUST CAME IN THIS MORNING, WHICH I
21 THINK IS VERY RELEVANT.

22 ALS IS CAUSED BY THE DEATH OF MOTOR
23 NEURONS CONNECTED TO THE MUSCLE, LEADING TO
24 PARALYSIS AND DEATH WITHIN FOUR YEARS. THERE'S NO
25 TREATMENT OR CURE. OUR GRANT'S BASED ON

BARRISTERS' REPORTING SERVICE

1 TRANSPLANTING CLINICAL GRADE NEUROPROGENITOR CELLS
2 MODIFIED TO SECRETE A POWERFUL GROWTH FACTOR, GDNF,
3 INTO THE SPINAL CORD OF ALS PATIENTS.

4 THE MAJOR CRITICISM OF OUR GRANT WAS WHILE
5 OUR PRECLINICAL ANIMAL STUDIES SHOWED INCREASED
6 MOTOR NEURON SURVIVAL FOLLOWING TRANSPLANTATION, WE
7 DID NOT SEE FULL FUNCTIONAL RECOVERY; I.E., A
8 REDUCTION IN PARALYSIS. NOW, WE HAVE TO STRESS HERE
9 THAT THE ONLY RELIABLE MODEL OF ALS IN THE RAT OR
10 ANY RODENT INVOLVES MASSIVE EXPRESSION OF MUTATED
11 PROTEIN ONLY FOUND IN VERY RARE POPULATIONS OF ALS
12 CALLED SOD1. SO THIS IS AN ARTIFICIAL MODEL. IN
13 FACT, THIS IS REQUIRED TO KILL THE MOTOR NEURONS AND
14 PRODUCE DISEASE IN THE RODENT. HOWEVER, IT'S NOT A
15 MODEL OF SPORADIC ALS THAT AFFECTS 90 PERCENT OF
16 PATIENTS, INCLUDING THOSE WHO WOULD BE INCLUDED IN
17 OUR TRIAL.

18 WHY DIDN'T WE USE A SPORADIC MODEL?
19 UNFORTUNATELY, THERE IS NO ANIMAL SPORADIC MODEL OF
20 SPORADIC ALS, AND WE DON'T KNOW ITS CAUSE. THE
21 LIMITATION OF ANY OF THESE PRECLINICAL STUDIES
22 TESTING ANIMALS IS TIMING. THE HUMAN CELLS WE USE
23 TAKE AT LEAST FOUR MONTHS TO MATURE INTO ASTROCYTES
24 THAT MAY BE CAPABLE OF DETOXIFYING THE AREA AROUND
25 THE MOTOR NEURONS. HOWEVER, THE ANIMALS AND, AGAIN,

BARRISTERS' REPORTING SERVICE

1 THE MODEL WE USE HAVE TO BE EUTHANIZED WITHIN TWO
2 MONTHS OF TRANSPLANTATION DUE TO MASSIVE PARALYSIS.
3 THERE'S SIMPLY NOT LONG ENOUGH FOR THE CELLS TO
4 MATURE FOR US TO SEE IF THEY THEN HAVE EFFECTS ON
5 PARALYSIS.

6 AS HIGHLIGHTED BY THE FDA RECENTLY, THESE
7 TWO PROBLEMS, POOR ANIMAL MODELS OF DISEASE AND
8 RAPID ONSET IN THE FACE OF SLOWLY DEVELOPING HUMAN
9 STEM CELLS, ARE MAJOR PROBLEMS FOR PRECLINICAL
10 STUDIES. HOWEVER, WE SUGGEST THAT OUR 90-PERCENT
11 PROTECTION OF MOTOR NEURONS THAT WE DESCRIBE IN THE
12 GRANT, EVEN IN THIS SEVERE ANIMAL MODEL, SO THE
13 MOTOR NEURONS SURVIVE, IS A REASON FOR TAKING THE
14 TRIAL FORWARD. AND THEY SHOW THAT THE CELLS ARE
15 WORKING.

16 FURTHERMORE, THE CELLS DON'T FORM TUMORS.
17 THEY SURVIVE UNTIL DISEASE ENDPOINT AND CONTINUALLY
18 DELIVER THE GDNF, AND THAT'S THE NEW DATA THAT WE
19 HAVE THAT WAS QUESTIONED IN THE GRANT AS WELL.

20 SO COMBINED WITH THE KNOWN PROTECTIVE
21 EFFECTS OF ASTROCYTES IN ALS, THIS WOULD SEEM TO BE
22 A VERY CONVINCING EVIDENCE AND RATIONALE FOR MOVING
23 THIS NOVEL COMBINED GENE AND STEM CELL THERAPY
24 FORWARD INTO PATIENTS.

25 ANOTHER CRITICISM THAT WASN'T MENTIONED

BARRISTERS' REPORTING SERVICE

1 THAT I THINK WAS PART OF THE REVIEW WAS THAT WE
2 DON'T HAVE A COMMERCIAL PARTNER OR HAVE DEALT WITH
3 PATENT ISSUES WITH GDNF. I'M HAPPY TO ANNOUNCE THAT
4 JUST THIS MORNING, AGAIN, COINCIDENTALLY WE RECEIVED
5 SOME EXTRAORDINARY NEWS. THE COMPANY UNICURE, WHO
6 HOLDS THE EXCLUSIVE LICENSE TO THE GDNF GENE FROM
7 AMGEN, HAVE AGREED TO ENTER INTO A PARTNERSHIP WITH
8 US TO TAKE THE PROJECT FORWARD TO THE NEXT STAGE.
9 THE REASON FOR THE DELAY IN OBTAINING THIS IMPORTANT
10 DOCUMENT IS THAT UNICARE WAS BUSY. THEY JUST
11 ANNOUNCED THAT AFTER THREE DECADES OF DEVELOPMENT,
12 EUROPEAN REGULATORS HAVE RECOMMENDED APPROVAL FOR
13 THEIR GENE THERAPY PRODUCT GLIBERIA. THIS IS THE
14 FIRST APPROVED GENE THERAPY PRODUCT IN THE WESTERN
15 WORLD, AND WE'RE OBVIOUSLY VERY EXCITED ABOUT
16 PARTNERING WITH SUCH A POWERFUL AND SUCCESSFUL
17 COMPANY WHO ALSO HOLD ALL THE LICENSING RIGHTS FOR
18 GDNF.

19 SO IN CLOSING, I'D LIKE TO THANK ALL OF
20 THE PATIENTS WHO MADE IT HERE TODAY TO SUPPORT THE
21 PROPOSAL. WE CAN'T EMPHASIZE ENOUGH HOW A POSITIVE
22 RESULT IN SLOWING DISEASE PROGRESSION IN JUST A
23 SINGLE LIMB, WE'VE HEARD THIS AGAIN AND AGAIN, WE'VE
24 GOT TO CURE THE WHOLE BRAIN. IF ONE PATIENT COULD
25 MOVE A FINGER CONTINUALLY OVER TIME IN ONE SINGLE

BARRISTERS' REPORTING SERVICE

1 LIMB, THAT WOULD MAKE AN ENORMOUS IMPACT ON THE ALS
2 COMMUNITY. I FEEL WE'RE IN GOOD SHAPE WITH THIS
3 PARTICULAR PROPOSAL TO MAKE THAT HAPPEN AFTER TEN
4 YEARS OF WORK. THUS, I'D LIKE TO FORMALLY APPEAL TO
5 THE BOARD THAT, GIVEN THESE EXTRAORDINARY
6 CIRCUMSTANCES, CIRM LIFT OUR DISEASE TEAM AWARD ONE
7 NOTCH UP INTO THE FUNDING ZONE AND GIVE A NEW HOPE
8 TO ALS PATIENTS IN CALIFORNIA AND AROUND THE WORLD.

9 CHAIRMAN THOMAS: THANK YOU, DR. SVENDSEN.

10 MS. POLISO: HI. MY NAME IS NANCY POLISO
11 (PHONETIC). I WAS DIAGNOSED WITH ALS IN MAY OF
12 2009. SO THREE YEARS LATER, THREE YEARS, TWO
13 MONTHS, AND SIX DAYS, I'M STILL HERE. I AM
14 PARTICIPATING IN A STUDY RIGHT NOW FOR ALS. I'M A
15 45-YEAR-OLD MOTHER OF FIVE. I DON'T KNOW IF I'M
16 MORE LUCKY TODAY THAN YOU GUYS ARE BECAUSE I WOULD
17 NOT WANT TO BE MAKING DECISIONS FOR PEOPLE LIKE YOU
18 DO.

19 WE DON'T HAVE ANY CURE. ALL WE HAVE IS
20 HOPE. I'M FIGHTING. I NEED YOU TO HELP ME FIGHT.
21 I WOULD LIKE TO SEE MY YOUNGEST SON GRADUATE, AND MY
22 PARENTS TOO DO NOT WANT TO BURY A CHILD. AND I
23 DON'T WANT TO LEAVE FIVE KIDS WITH MY POOR HUSBAND.
24 BUT THANK YOU FOR BEING HERE TODAY. I THANK MY TEAM
25 AND DR. MILLER. AND THEY TAKE GOOD CARE OF US, BUT

BARRISTERS' REPORTING SERVICE

1 NOBODY KNOWS ANYTHING ABOUT THIS DISEASE. THEY'LL
2 SAY WHAT DO YOU HAVE? ALS. WHAT'S THAT? OH, LOU
3 GEHRIG'S DISEASE. OH, YEAH, I'VE HEARD OF THAT.

4 WE NEED YOU PEOPLE. WE NEED THE FUNDING
5 AND WE NEED THE EXPOSURE. I AM DOING MY PART. I
6 HAVE KIDS RAISING MONEY RUNNING TRIATHLONS AND
7 RAISING BIG MONEY FOR RESEARCH. PLEASE RECONSIDER
8 FUNDING THIS. WE NEED THE HOPE. THANK YOU.

9 CHAIRMAN THOMAS: THANK YOU. IS THERE
10 ADDITIONAL PUBLIC COMMENT?

11 MR. BARBER: THERE ARE. CAN YOU HEAR ME?
12 MR. CHAIRMAN, BOARD MEMBERS, THANKS FOR LETTING ME
13 SPEAK. I'M JIM BARBER. I HAVE ALS LIKE MY FELLOW
14 PATIENTS HERE. I NOTICED ON YOUR SCOREBOARD THAT
15 ALS, YOU ONLY HAVE ONE ALS PROPOSAL ON YOUR BOARD.

16 SINCE MY DIAGNOSIS, I HAVE BEEN INVOLVED
17 IN ALS ADVOCACY IN SACRAMENTO. I'M CO-CHAIR OF THE
18 CALIFORNIA ALS ADVOCACY COMMITTEE. ONE OF THE BEST
19 THINGS WE'VE DONE HAS BEEN KIND OF A BY-PRODUCT OF
20 OUR WORK UP THERE; NAMELY, THE FORMATION OF THE
21 CALIFORNIA ALS RESEARCH NETWORK. DR. SVENDSEN WAS
22 ANOTHER FOUNDING MEMBER OF THAT GROUP. HE IS NOW
23 THE CHAIR OF THAT GROUP.

24 BUT AS YOU CAN APPRECIATE, WE'RE A SMALL
25 COMMUNITY WITH VERY HIGH TURNOVER. AS A RESULT, WE

BARRISTERS' REPORTING SERVICE

1 HAVE A SMALL SUPPORT GROUP. WE'RE HIGHLY DEPENDENT
2 ON PUBLIC FUNDING FOR RESEARCH. ALS IS A COMPLEX
3 DISEASE, AS YOU WELL KNOW. WE NEED HELP.

4 THERE'S BEEN RECENT PROGRESS IN THE
5 DISEASE IN RESEARCH, BUT THERE'S NOTHING YET THAT
6 LOOKS, SOUNDS, OR SMELLS LIKE AN EFFECTIVE
7 TREATMENT, LET ALONE A CURE. THE ALS RESEARCHERS WE
8 HAVE BEEN BLESSED WITH THAT WORK ON OUR BEHALF ARE
9 GIFTED AND DEDICATED, AS YOU'VE ALREADY NOTED WITH
10 RESPECT TO DR. SVENDSEN AND CEDARS-SINAI.

11 PREVIOUS SPEAKER TALKED ABOUT US HAVING
12 HOPE. WE ALL HAVE HOPE, BUT HOPE IS NOT A STRATEGY.
13 WORKING WITH PEOPLE LIKE DR. SVENDSEN IS A STRATEGY.
14 BLUNTLY, HE'S THE BEST THING WE'VE GOT GOING FOR US
15 RIGHT NOW. HIS SUBMISSION IS THE BEST THING HE'S
16 GOT GOING RIGHT NOW. IT'S PRECISELY THE THING THAT
17 SOME OF YOUR SPEAKERS -- I WOULD RECOGNIZE MR.
18 SHEEHY BRINGING UP THE POINT AND THE LATEST SPEAKER.
19 IT'S A TOUGH DISEASE, VERY COMPLICATED. IT CRIES
20 OUT FOR A SOLUTION. PROGRESS IS TOUGH IN THE
21 SCIENTIFIC COMMUNITIES, AND A THERE MAY BE SOME RISK
22 HERE, BUT THIS IS THE DISEASE THAT DESERVES A LITTLE
23 RISK TAKING IN MY VIEW.

24 YOU'VE HAD QUESTIONS ABOUT HIS PROPOSAL.
25 FAIR ENOUGH. TO THE EXTENT HE HAS NOT ANSWERED

BARRISTERS' REPORTING SERVICE

1 THOSE TO YOUR SATISFACTION, I RESPECTFULLY REQUEST
2 THAT YOU PUT THOSE QUESTIONS AND CONCERNS AGAIN
3 TODAY TO DR. SVENDSEN, LET HIM RESPOND, GET A
4 DISCUSSION GOING, FIGURE OUT A WAY FORWARD SO WE CAN
5 GET THIS PROPOSAL FUNDED.

6 ON BEHALF OF A GRATEFUL ALS COMMUNITY,
7 URGE AN AYE VOTE ON HIS PROPOSAL. AND THANK YOU FOR
8 YOUR TIME AND ATTENTION.

9 CHAIRMAN THOMAS: THANK YOU, SIR.

10 MS. KOZAK: EVERYONE BETTER BE CAREFUL. I
11 HAVE A NEW WHEELCHAIR, SO I'M NOT QUITE SURE WHETHER
12 I ROLL OVER TOES OR NOT. MY NAME IS LEANNE KOZAK.
13 I HAVE ALS. AND I HAVE MY NOTES, SO I STAY ON
14 TRACK.

15 I HAVE A VERY HARD TIME UNDERSTANDING WHY
16 AFTER ALL OF THESE YEARS, AND WE'VE KNOWN ABOUT ALS,
17 WE'VE IDENTIFIED ALS IN 1869, ACCORDING TO MY
18 RESEARCH, AND WE STILL DON'T KNOW WHAT CAUSES IT.
19 WE HAVE NO CURE. WE HAVE NO TREATMENT. AND MANY OF
20 US DON'T HAVE HOPE. I THINK THAT'S A REALISTIC
21 POINT OF VIEW GIVEN WHAT WE KNOW ABOUT WHAT'S
22 AVAILABLE AND WHAT THE PROSPECTS ARE.

23 YOU ALL KNOW WHAT A REALLY UGLY DISEASE IT
24 IS, I'M SURE. AND SO I WOULD SUGGEST TO YOU THAT IT
25 IS ABSOLUTELY UNCONSCIONABLE TO DELAY ANY TRIALS

BARRISTERS' REPORTING SERVICE

1 THAT HAVE A REASONABLE CHANCE OF SUCCESS. AND I
2 THINK WHAT IS BEFORE YOU NOW IS FAR BEYOND JUST A
3 REASONABLE CHANCE OF SUCCESS.

4 YOU HAVE SAID, MR. SHEEHY, THAT YOU WANT
5 TO TAKE RISKS, ANYTHING THAT CAN MAKE A DRAMATIC
6 DIFFERENCE FOR PATIENTS. FOR ALS ANYTHING WOULD
7 MAKE A DRAMATIC DIFFERENCE. AS DR. SVENDSEN SAID,
8 BEING ABLE TO WIGGLE YOUR FINGER, THAT'S DRAMATIC.
9 TODAY I BELIEVE OUR BEST BET FOR MAKING PROGRESS
10 WITH THIS HORRIBLE DISEASE IS THE HIGHLY COMPETENT
11 PROFESSIONALS THAT WE HAVE AT FORBES NORRIS IN SAN
12 FRANCISCO, THE SURGICAL SKILLS AT EMORY, AND THE
13 GENIUS OF DR. SVENDSEN. THE TEAM IS RIGHT, THE TIME
14 IS RIGHT. PLEASE GRANT THIS FUNDING.

15 CHAIRMAN THOMAS: THANK YOU. FURTHER
16 PUBLIC COMMENT?

17 DR. TROUNSON, I'D JUST BE CURIOUS. DR.
18 SVENDSEN MADE SOME COMMENTS ABOUT IN ADDITION TO
19 THIS LETTER EVIDENCING NEW CORPORATE RELATIONSHIP,
20 MADE SOME COMMENTS ABOUT NEW DATA, TO GET YOUR
21 THOUGHTS ON WHAT HE HAS SAID. I NOTICE THERE'S SOME
22 REFERENCE TO THAT IN THE EXTRAORDINARY PETITION
23 ITSELF AS WELL.

24 DR. TROUNSON: WELL, I THINK IT'S A BRIEF
25 LETTER, AND IT'S FAIRLY CLEAR THAT THE, AS CLIVE

BARRISTERS' REPORTING SERVICE

1 SAID, THAT THE COMPANY'S WILLING TO PROVIDE THEM
2 WITH ACCESS TO USE THIS. AND, OF COURSE, THIS WAS
3 QUERIED BY THE GRANTS WORKING GROUP AS SOMETHING
4 THAT WAS OF SOME IMPORTANCE TO KNOW THAT YOU HAD
5 FREEDOM TO OPERATE. SO I THINK AT THIS POINT IN
6 TIME, THERE'S AN INDICATION THAT THE COMPANY WILL
7 PROVIDE AN OPPORTUNITY TO OPERATE.

8 I DON'T THINK IT ADDRESSES THE OTHER
9 ISSUES SO SPECIFICALLY. SO, AGAIN, I'M NOT SURE
10 THAT THAT RISES TO THIS LEVEL. I THINK YOU CAN
11 DECIDE WHETHER THAT -- IT'S NOT -- I DON'T THINK IT
12 RISES TO THE LEVEL OF REQUIRING A REREVIEW IN MY
13 MIND. I THINK YOU NEED TO DECIDE YOURSELVES WHETHER
14 THIS IS APPROPRIATE. WE'VE GOT A -- THOSE PROCESSES
15 THAT JEFF AND I HAVE GOT TO GET THROUGH, WE WOULD
16 PREFER NOT TO HAVE A HUGE NUMBER; BUT IF YOU SEND US
17 A HUGE NUMBER, IT WILL TAKE US MORE TIME AND WE'LL
18 DO IT. BUT I THINK YOU CAN ACTUALLY MAKE UP YOUR
19 MIND ABOUT THIS IN MY VIEW.

20 THE COMPANY HAS SAID THAT THEY WILL
21 PROVIDE THIS, AND THAT WAS AN ISSUE. I DON'T THINK
22 IT WAS THE PRIMARY ISSUE, BUT IT WAS ONE OF THE
23 ISSUES WITH THE GRANT. AND I THINK IT'S OVER TO YOU
24 NOW TO MAKE UP YOUR MINDS.

25 I THINK ELONA BAUM WANTS TO MAKE SOME

BARRISTERS' REPORTING SERVICE

1 COMMENT ON MY COMMENT.

2 MS. BAUM: I APOLOGIZE. I JUST FEEL THAT
3 IT'S INCUMBENT UPON ME TO JUST MAKE A CORRECTION,
4 THAT THAT LETTER DOES NOT NECESSARILY ESTABLISH
5 FREEDOM TO OPERATE. IT ADDRESSES A VECTOR. IT
6 DOESN'T ADDRESS THE NEURAL STEM CELL AND RIGHTS
7 THERETO SINCE IT'S NOW PUBLIC.

8 CHAIRMAN THOMAS: WE HAVE A LITTLE BIT OF
9 A HARD TIME HEARING YOU, ELONA. WOULD YOU REPEAT
10 ALL THAT, PLEASE?

11 MS. BAUM: I'LL RESTART WHAT I JUST SAID.
12 I CAN'T SAY THAT THE LETTER THAT WE HAVE JUST
13 RECEIVED AND THAT IS NOW PUBLIC, SO I'M COMFORTABLE
14 SPEAKING TO IT, NECESSARILY ESTABLISHES FREEDOM TO
15 OPERATE. IT TALKS ABOUT HAVING FREEDOM TO OPERATE
16 AND HAVING ACCESS TO THE VECTOR, BUT THERE IS
17 ANOTHER ASPECT, AND THAT IS THE NEURAL STEM CELL.
18 AND I'M NOT SO SURE AT THIS TIME THAT THERE'S
19 NECESSARILY FREEDOM TO OPERATE VIS-A-VIS THE NEURAL
20 STEM CELL, WHICH IS THE DELIVERY MECHANISM AND PART
21 OF THE THERAPEUTIC. SO IT'S A QUESTION. I JUST
22 DON'T KNOW IF IT'S PUBLIC. CERTAINLY IN CLOSED
23 SESSION I FEEL COMFORTABLE DISCLOSING THAT.

24 CHAIRMAN THOMAS: OKAY. SO LET'S SEE.
25 I'VE GOT MR. JUELGAARD, I'VE GOT SHERRY, AND I'VE

BARRISTERS' REPORTING SERVICE

1 GOT MR. SERRANO-SEWELL.

2 DR. JUELSGAARD: SO LET ME JUST RESPOND TO
3 THE INTELLECTUAL PROPERTY ISSUE. SO THERE'S A
4 REALLY OPEN QUESTION WITH RESPECT TO USING MATERIALS
5 IN PREPARATION FOR AN FDA SUBMISSION. THAT'S
6 271(E)(1) OF THE PATENT STATUTES, AND IT ALLOWS --
7 AND IN MERCK V. INTEGRA, THE SUPREME COURT DECIDED
8 THAT RESEARCH WORK WAS IN FURTHERANCE OF A POTENTIAL
9 SUBMISSION TO THE FDA. SO MANY COMPANIES TAKE THE
10 POSITION THAT THEY'RE FREE TO ENGAGE IN THAT
11 RESEARCH WITHOUT HAVING A LICENSE AT ALL AND WON'T
12 NECESSARILY INFRINGE ANOTHER'S PATENT.

13 SO I DON'T PLACE A LOT OF EMPHASIS EITHER
14 ON THE PRESENCE OF THIS LETTER OR THE ABSENCE OF
15 THIS LETTER WITHOUT KNOWING A LITTLE BIT MORE ABOUT
16 WHAT THE PROJECT INTENDS TO DO VIS-A-VIS POTENTIAL
17 FDA SUBMISSION.

18 BUT BEYOND THAT, I WOULD LIKE TO MOVE THAT
19 WE CHANGE THE STATUS OF THIS APPLICATION FROM TIER
20 III TO TIER I. TIER II TO TIER I.

21 CHAIRMAN THOMAS: IS THERE A SECOND?

22 MR. SERRANO-SEWELL: SECOND.

23 MS. SAMUELSON: I'D LIKE TO JOIN IN THE
24 SECOND.

25 CHAIRMAN THOMAS: FURTHER DISCUSSION BY

BARRISTERS' REPORTING SERVICE

1 THE BOARD? SHERRY, I'M SORRY.

2 MS. LANSING: I'M CONFUSED. YOU WANT US
3 TO --

4 DR. JUELSGAARD: YES. I'M MOVING THAT WE
5 CHANGE IT, NOT THAT IT BE SENT BACK FOR REVIEW, BUT
6 THAT WE ACTUALLY PUT IT IN TIER I. THAT WAS MY
7 MOTION. FUND IT. THAT WE FUND IT, THAT'S MY
8 MOTION.

9 MS. LANSING: WHY WOULD WE DO THAT?

10 CHAIRMAN THOMAS: WHICH IS AN OPTION.

11 MR. SHEEHY: I JUST WANTED TO SPEAK IN
12 FAVOR OF THE MOTION. AND I WOULD NOTE THAT ONE OF
13 THE STRENGTHS OF THE APPLICATION WAS ITS REGULATORY
14 PACKET. AND I THINK THAT THIS IS ONE OF THE TIMES
15 WHEN IT'S GOOD FOR US PROGRAMMATICALLY TO STRETCH
16 OURSELVES.

17 I REMIND PEOPLE THAT THESE ARE
18 MILESTONE-DRIVEN GRANTS. AND SO THE PRICE OF
19 FAILURE IS THAT WE GET OUR MONEY BACK. AND I JUST
20 THINK ABOUT THIS. SOME OF THE QUESTIONS THAT WERE
21 ASKED IN THE GRANT ARE NOT GOING TO BE ANSWERABLE
22 OTHER THAN GOING INTO A PATIENT. WILL THIS MAKE A
23 DIFFERENCE? WE DON'T KNOW. BECAUSE OF THE WAY IN
24 WHICH THE REGULATORY PACKAGE WAS PUT TOGETHER AND
25 THE STRENGTH OF IT, WHICH THE REGULATORY SPECIALIST

BARRISTERS' REPORTING SERVICE

1 MENTIONED IN THE REVIEW, IT HAS A HIGH PROBABILITY
2 OF GETTING INTO PATIENTS AND FINDING OUT THE ANSWERS
3 TO THESE QUESTIONS.

4 I KNOW IF I WERE AN ALS PATIENT, AND I'M
5 SO DELIGHTED TO HEAR FROM THE PATIENTS, I WOULD SAY
6 IF NOT CLIVE, WHO? AND IF NOT NOW, WHEN? AND IF
7 NOT CIRM, HOW ELSE? I THINK THAT'S WHY WE EXIST.

8 (APPLAUSE.)

9 CHAIRMAN THOMAS: ANY FURTHER COMMENT, OR
10 WE CAN PROCEED -- YES, JOAN.

11 MS. SAMUELSON: I FEEL STRONGLY THAT WE
12 SHOULD MOVE THIS INTO THE FUNDING CATEGORY. DR.
13 SVENDSEN IS THE RIGHT PERSON. HE IS SHARING HIS
14 TIME FROM A CAREER WITH PARKINSON'S, DISTINGUISHED
15 CAREER. AND I'M HAPPY THAT HE IS WORKING SO HARD ON
16 ALS NOW BECAUSE THE PARKINSON'S FIELD WILL GAIN FROM
17 HIS INVESTMENT THERE. AND -- WELL, EVERY REASON I
18 HAVE FOR THIS HAS BEEN STATED BY SOMEBODY ELSE,
19 WHICH IS THRILLING.

20 THE COMMENT I LIKE BEST WAS FROM ONE OF
21 THE MEMBERS OF THE PUBLIC WHO SAID, "IT'S
22 UNCONSCIONABLE TO DELAY ANY TRIALS WITH A REASONABLE
23 CHANCE OF SUCCESS." IMAGINE THAT WE HAVE DONE SO
24 WELL BY OUR HARD WORK COMBINED WITH OTHER SCIENTISTS
25 IN FUNDING ENTERPRISES AROUND THE WORLD, THAT SOME

BARRISTERS' REPORTING SERVICE

1 OF THE CRITICISMS OF THE GRANT MIGHT BE THAT IT
2 DOESN'T HAVE THE IP PROPOSAL SUFFICIENTLY TOGETHER.

3 WHEN I FIRST THOUGHT ABOUT PLANNING FOR
4 TODAY, I THOUGHT THAT I WOULD BE SILENT UNTIL THE
5 PARKINSON'S PROPOSALS. AND THERE ARE A COUPLE
6 SIGNIFICANT ONES IN THIS ROUND AND A LATER ONE. BUT
7 I CAN'T DO THAT BECAUSE IF THERE'S A CHANCE OF
8 SUCCESS FOR OTHER PATIENT GROUPS, IT'S MY JOB TO
9 PROMOTE IT. AND THAT IS SUCH A GREAT THING. IF WE
10 HAVE IN JUST FIVE OR SIX YEARS REACHED THE POINT
11 WHERE WE HAVE TOUGH CHOICES FOR WHAT WE'RE GOING TO
12 ADVANCE FORWARD, OR WE THINK WE MIGHT RUN OUT OF
13 MONEY BECAUSE WE HAVE SO MANY OPTIONS, THAT'S A
14 GREAT PROBLEM TO HAVE. AND I THINK WE SHOULD REACH
15 FOR THOSE OPPORTUNITIES, JUST AS DR. SVENDSEN HAS
16 REACHED FOR SUCCESS WITH ALS. THANK YOU.

17 CHAIRMAN THOMAS: THANK YOU, JOAN. SEEING
18 NO FURTHER COMMENTS, WOULD LIKE TO CALL THE
19 QUESTION.

20 MS. BONNEVILLE: WE HAVE SOMEONE ELSE WHO
21 WOULD LIKE TO MAKE PUBLIC COMMENT ON THIS GRANT.

22 CHAIRMAN THOMAS: ONE MORE MEMBER OF THE
23 PUBLIC. PLEASE STATE YOUR NAME.

24 MS. WINOKER: DIANE WINOKER, AND I'M AN
25 ALS ADVOCATE. I'D LIKE TO SPEAK IN FAVOR OF THIS

BARRISTERS' REPORTING SERVICE

1 PROPOSAL. I HAVE KNOWN DR. CLIVE SVENDSEN FOR MORE
2 THAN TEN YEARS, AND I'VE BEEN AWARE OF THE RESEARCH
3 HE'S BEEN DOING THAT HAS LED UP TO THIS PROJECT FOR
4 ABOUT TEN YEARS.

5 THERE IS NO ONE WHO IS MORE HIGHLY
6 REGARDED IN THE ALS RESEARCH WORLD THAN DR.
7 SVENDSEN. AND WE WERE FORTUNATE, AND I THINK IT WAS
8 DUE TO PROPOSITION 71, AT LEAST PARTLY, THAT WE GOT
9 HIM TO MOVE FROM WISCONSIN TO CALIFORNIA. HE HAS A
10 SUPERB TEAM, AND THEY HAVE VERY MUCH EXPERIENCE.

11 AS YOU KNOW, ALS IS A VERY CRUEL DISEASE.
12 AND IN THE HUNDRED AND FIFTY OR SO YEARS SINCE IT'S
13 BEEN KNOWN, THERE HAS NEVER BEEN ANYTHING DEVELOPED
14 TO HELP IT. THERE'S ONE DRUG THAT WAS APPROVED
15 ABOUT TEN OR TWELVE YEARS AGO BY THE FDA, AND IT
16 WILL IN SOME CASES PROLONG LIFE FOR TWO MONTHS. AND
17 THAT'S THE EXTENT OF WHAT'S AVAILABLE. SO THIS IS A
18 VERY VALUABLE PROJECT.

19 THE SCORE, AS YOU KNOW, FOR THIS PROPOSAL
20 WAS WITHIN A FEW, MAYBE TWO DEGREES FROM THOSE THAT
21 WERE RECOMMENDED FOR FUNDING. AND WITH THE NEW
22 INFORMATION THAT DR. SVENDSEN HAS PROVIDED THAT JUST
23 CAME YESTERDAY, THAT ELIMINATES ONE OF THE
24 OBJECTIONS THAT WERE RAISED SO THAT THAT WOULD RAISE
25 IT WELL INTO THE APPROVAL RATING.

BARRISTERS' REPORTING SERVICE

1 MY EXPERIENCE WITH ALS IS THAT I HAVE LOST
2 TWO SONS TO THE ILLNESS. AND AS FAR BACK AS MY
3 HUSBAND'S FAMILY AND MINE CAN TRACE, WHICH IS ABOUT
4 THREE OR FOUR GENERATIONS, THERE HAS NEVER BEEN ANY
5 ALS. SO THAT WE ARE IN THE RARE POSITION OF HAVING
6 TWO FAMILY MEMBERS WITH THE ILLNESS AND IT IS NOT
7 THE FAMILIAL FORM. I RECOMMEND HIGHLY TO YOU THAT
8 YOU RECONSIDER THIS PROPOSAL AND ACCEPT IT
9 FAVORABLY. THANK YOU VERY MUCH.

10 CHAIRMAN THOMAS: THANK YOU, MS. WINOKER.
11 SENATOR TORRES.

12 MR. TORRES: YES. MS. WINOKER, I JUST
13 WANT TO THANK YOU FOR YOUR TIRELESS ADVOCACY. I'VE
14 KNOWN YOU FOR MANY YEARS, AND I KNOW WHAT YOU HAVE
15 GONE THROUGH PERSONALLY, AND THAT YOU CONTINUE WHAT
16 YOU DO IS REMARKABLE AND AN INSPIRATION.

17 MS. WINOKER: THANK YOU FOR SAYING THAT.

18 (APPLAUSE.)

19 DR. PRICE: POINT OF INFORMATION, MR.
20 CHAIRMAN. CAN YOU TELL US WHAT THE FUNDING LEVEL WE
21 HAVE STILL LEFT? AND THE REASON I'M SAYING THIS IS
22 BECAUSE I'M CONCERNED, AS WE GO THROUGH THIS AND
23 START TO RAISE ITEMS UP INTO THE FUNDING CATEGORY,
24 WE MAY OBTAIN THE NEED TO DO THE REVIEW WHICH WE'VE
25 ALREADY RECOMMENDED SINCE THERE MIGHT NOT BE ANY

BARRISTERS' REPORTING SERVICE

1 MONEY LEFT TO DO THOSE.

2 CHAIRMAN THOMAS: I THINK, AGAIN, WE HAD
3 BUDGETED 240. WE ARE AT 113 SHOULD WE APPROVE THE
4 SIX RECOMMENDED PROPOSALS. AND THIS WOULD ACTUALLY
5 BE THE FIRST ADDITIONAL AMOUNT ACTUALLY APPROVED.
6 THE OTHER THREE ARE SUBMITTED FOR RECONSIDERATION.

7 MS. SAMUELSON: MR. CHAIRMAN, ARE WE GOING
8 TO GO INTO CLOSED SESSION ON THESE TO TALK ABOUT --

9 CHAIRMAN THOMAS: I DON'T THINK WE NEED TO
10 ON THIS. OKAY. SO LET'S MOVE FORWARD. MARIA, CALL
11 THE ROLL.

12 MS. BONNEVILLE: ROBERT PRICE.

13 DR. PRICE: YES.

14 MS. BONNEVILLE: DAVID BRENNER.

15 DR. BRENNER: YES.

16 MS. BONNEVILLE: JACOB LEVIN.

17 DR. LEVIN: YES.

18 MS. BONNEVILLE: CLAIRE POMEROY.

19 DR. POMEROY: YES.

20 MS. BONNEVILLE: MARCY FEIT. LEEZA

21 GIBBONS.

22 MS. GIBBONS: WITH THANKS TO ALL THE
23 FAMILIES AND THE PATIENTS HERE TODAY, YES.

24 MS. BONNEVILLE: MICHAEL GOLDBERG.

25 MR. GOLDBERG: YES.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: SAM HAWGOOD.
2 DR. HAWGOOD: YES.
3 MS. BONNEVILLE: STEPHEN JUELSGAARD.
4 DR. JUELSGAARD: YES.
5 MS. BONNEVILLE: SHERRY LANSING.
6 MS. LANSING: YES.
7 MS. BONNEVILLE: BERT LUBIN.
8 DR. LUBIN: YES.
9 MS. BONNEVILLE: PHIL PIZZO.
10 DR. PIZZO: YES.
11 MS. BONNEVILLE: FRANCISCO PRIETO.
12 DR. PRIETO: AYE.
13 MS. BONNEVILLE: DUANE ROTH.
14 MR. ROTH: YES.
15 MS. BONNEVILLE: JOAN SAMUELSON.
16 MS. SAMUELSON: YES.
17 MS. BONNEVILLE: DAVID SERRANO-SEWELL.
18 MR. SERRANO-SEWELL: YES.
19 MS. BONNEVILLE: JEFF SHEEHY.
20 MR. SHEEHY: YES.
21 MS. BONNEVILLE: JONATHAN SHESTACK.
22 MR. SHESTACK: I'VE WAITED A LONG TIME TO
23 BE ABLE TO SAY YES TO THIS.
24 MS. BONNEVILLE: OSWALD STEWARD.
25 DR. STEWARD: YES.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: JONATHAN THOMAS.

2 CHAIRMAN THOMAS: YES.

3 MS. BONNEVILLE: ART TORRES.

4 MR. TORRES: AYE.

5 MS. BONNEVILLE: KRISTINA VUORI.

6 DR. VUORI: YES.

7 CHAIRMAN THOMAS: DR. SVENDSEN,

8 CONGRATULATIONS.

9 (APPLAUSE.)

10 CHAIRMAN THOMAS: THANK YOU ALL WHO HAVE
11 WEATHERED THROUGH THE MORNING AND EARLY AFTERNOON TO
12 BE HERE FOR THIS MOMENT. WE LOOK FORWARD WITH GREAT
13 ANTICIPATION TO GREAT WORK BEING DONE ON THIS
14 PROJECT.

15 WE'D LIKE --

16 JOAN, WE'RE NOW CALLING FOR ADDITIONAL
17 PROPOSALS TO DISCUSS.

18 MS. SAMUELSON: 5272.

19 CHAIRMAN THOMAS: WE HAVE A REQUEST FOR
20 STAFF PRESENTATION ON PROPOSAL 5272, DR. WHITTLESEA.

21 DR. WHITTLESEA: THANK YOU, MR. CHAIRMAN,
22 MEMBERS OF THE BOARD. IT'S MY PLEASURE TO DESCRIBE
23 TO YOU APPLICATION 5272. THE TITLE IS "HESC-DERIVED
24 NPC'S PROGRAMMED WITH MEF2C FOR CELL TRANSPLANTATION
25 IN PARKINSON'S DISEASE."

BARRISTERS' REPORTING SERVICE

1 THIS PROJECT PROPOSES TO DEVELOP A CELL
2 THERAPY TO TREAT PARKINSON'S DISEASE FOR PATIENTS
3 WHO NO LONGER RESPOND TO DOPAMINE REPLACEMENT
4 THERAPY. THE PROPOSED APPROACH WILL BE HESC'S OR
5 HUMAN EMBRYONIC STEM CELLS GENETICALLY MODIFIED TO
6 EXPRESS AN ACTIVE FORM OF A TRANSCRIPTION FACTOR, A
7 PROTEIN KNOWN AS MEF2C, AND THEN DIFFERENTIATE TO
8 NEURAL PROGENITOR CELLS FOR CELL TRANSPLANTATION.

9 THE APPLICANT ASSERTS THAT THE
10 OVEREXPRESSION OF THIS TRANSCRIPTION FACTOR WILL
11 SPECIFICALLY DRIVE DIFFERENTIATION OF DOPAMINE
12 PRODUCING NEURONS, WHICH ARE THE CELLS THAT ARE LOST
13 IN PARKINSON'S DISEASE.

14 PROJECT OBJECTIVE IS TO COMPLETE
15 MANUFACTURING, PRECLINICAL, AND REGULATORY
16 ACTIVITIES AND FILE AN APPLICATION FOR AN
17 INVESTIGATIONAL NEW DRUG APPLICATION OR IND WITH THE
18 FDA WITHIN THE FOUR-YEAR AWARD PERIOD.

19 I WILL HIGHLIGHT A FEW OF THE POINTS THAT
20 THE GRANTS REVIEW GROUP MADE. SO WITHIN THE CONTEXT
21 OF SIGNIFICANCE AND IMPACT, REVIEWERS ACKNOWLEDGE
22 THAT THE APPLICATION ADDRESSES A HIGHLY UNMET
23 MEDICAL NEED. HOWEVER, THE POTENTIAL IMPACT OF THIS
24 APPROACH OVER ALTERNATIVES CURRENTLY IN DEVELOPMENT
25 WAS CALLED INTO QUESTION. REVIEWERS ALSO WERE NOT

BARRISTERS' REPORTING SERVICE

1 ABLE TO DETERMINE WHETHER THE PROPOSED THERAPY WOULD
2 AVOID KEY ADVERSE EVENTS THAT HAVE BEEN SEEN IN
3 OTHER TRANSPLANTATION APPROACHES FOR PARKINSON'S
4 DISEASE.

5 RATIONALE, THE RATIONALE FOR CELL THERAPY
6 AS A MEANS OF DELIVERING DOPAMINE FOR PARKINSON'S
7 DISEASE IS WELL ESTABLISHED. HOWEVER, NO CONVINCING
8 DATA WERE PROVIDED TO DEMONSTRATE WHY THIS MEF2C
9 EXPRESSION STRATEGY WOULD BE A BETTER APPROACH THAN
10 ALTERNATIVES CURRENTLY UNDER DEVELOPMENT.

11 PRELIMINARY DATA PROVIDED FOR IN VIVO EFFICACY WERE
12 NOT CONVINCING. DATA WERE NOT SHOWN FOR ALL THE
13 BEHAVIORAL STUDIES CONDUCTED, WHICH IS REALLY THE
14 FUNCTIONAL OUTCOME, AND ONLY MODEST IMPROVEMENTS
15 WERE SEEN, WHICH BARELY REACHED STATISTICAL
16 SIGNIFICANCE OVER CONTROLS.

17 MORE IMPORTANTLY, RECENT PUBLICATIONS HAVE
18 DEMONSTRATED A ROLE FOR SEROTONIN IN CELL
19 TRANSPLANTATION APPROACHES FOR PARKINSON'S DISEASE,
20 AND THIS POSSIBILITY IS NOT ADDRESSED BY THE
21 APPLICANTS.

22 THERAPEUTIC DEVELOPMENTAL READINESS WAS A
23 KEY ISSUE WITH THE REVIEWERS. REVIEWERS FELT THE
24 PROJECT WAS AT AN EARLY STAGE OF DEVELOPMENT.
25 APPLICANT DID NOT SHOW CLEAR PROOF OF CONCEPT

BARRISTERS' REPORTING SERVICE

1 LINKING DOPAMINE PRODUCTION BY THE THERAPEUTIC CELLS
2 TO BENEFITS SEEN IN VIVO. THERE WAS CONCERN ABOUT
3 THE PURITY OF THE PROPOSED CELL PRODUCT, AND POOR
4 CHARACTERIZATION OF OTHER CELL TYPES THAT MIGHT BE
5 PRESENT WITHIN THE CELL POPULATION PROPOSED TO BE
6 USED AS THE THERAPEUTIC.

7 FEASIBILITY OF THE PROJECT PLAN WAS
8 QUESTIONED IN RELATIONSHIP TO ONE OF THE PREVIOUS
9 COMMENTS IN THE SENSE THAT THERE WAS LIMITED
10 CHARACTERIZATION OF THE PRODUCT, AND THERE WAS NO
11 DISCUSSION OF PRODUCT HETEROGENEITY, TRANSGENE COPY
12 NUMBER, AND OTHER IMPORTANT ASPECTS OF THE CELL
13 POPULATION. NO DATA WERE PROVIDED SHOWING DURATION
14 OF THE MEF2C TRANSGENE EXPRESSION IN VIVO, AND THE
15 PROPOSAL DID NOT CONSIDER AN IMPORTANT POINT, WHICH
16 IS THE POSSIBILITY OF GENE SILENCING IN VIVO WHICH
17 WILL RESULT IN LOSS OF EFFICACY OF THE THERAPEUTIC
18 PRODUCT.

19 THE PI WAS PRAISED AND ACKNOWLEDGED AS
20 BEING A QUALIFIED RESEARCHER IN NEURODEGENERATIVE
21 DISEASE; HOWEVER, THERE WAS CONCERN EXPRESSED THAT
22 HE HAS NO TRACK RECORD IN BRINGING A CELL THERAPY
23 FOR A NEURODEGENERATIVE DISEASE TO A CLINIC.

24 THERE WAS A BUDGET -- THERE WAS A CONCERN
25 EXPRESSED OVER THE BUDGET OF SOME OF THE PRECLINICAL

BARRISTERS' REPORTING SERVICE

1 STUDIES. I WANTED TO NOTE THIS IS THE FIRST ONE
2 THAT WE'VE SEEN RELATIVE TO -- DR. TALIB MENTIONED
3 THIS MORNING IN HIS INTRODUCTORY COMMENTS THAT THE
4 PLANNING AWARD REVIEW COMMITTEE DID HAVE THE OPTION
5 OF APPLYING A CONDITION TO AN AWARD. THIS WAS ONE
6 SUCH CASE THAT HAD A CONDITION APPLIED TO THE
7 PLANNING AWARD. THERE WAS A VERY SPECIFIC PIECE OF
8 DATA THEY WERE LOOKING TO SEE, WHICH I CAN DESCRIBE
9 IF THERE'S INTEREST. IT'S ALSO IN THE SUMMARY WHICH
10 IS PROVIDED IN YOUR BINDERS.

11 REVIEWERS WERE NOT CONVINCED BY THE DATA
12 PROVIDED THAT THE CONDITION WAS FULLY MET AS APPLIED
13 BY THE PLANNING AWARD COMMITTEE.

14 THERE WAS ROBUST PROGRAMMATIC DISCUSSION
15 IN TERMS OF THIS PROJECT. REVIEWERS REITERATED SOME
16 OF THE PRIMARY CONCERNS, WHICH WAS THE LOW
17 PERCENTAGE OF THE THERAPEUTIC CELL, POORLY
18 CHARACTERIZED CELL POPULATION, UNCONVINCING
19 PRELIMINARY DATA. IT WAS NOTED THAT THE SCORES
20 ACROSS THE REVIEWERS WERE CONSISTENT AND IN A RANGE
21 THAT IS TYPICALLY NOT CONSIDERED TO BE A FUNDABLE
22 SCORE, AND WAS VIEWED AS PREMATURE FOR A
23 TRANSLATIONAL AWARD AND NOT WORTHY OF SUCH A LARGE
24 INVESTMENT. THAT MOTION FAILED.

25 AN EXTRAORDINARY PETITION HAS BEEN

BARRISTERS' REPORTING SERVICE

1 SUBMITTED FOR THIS PROJECT.

2 CHAIRMAN THOMAS: THANK YOU, DR.
3 WHITTLESEA. COMMENTS BY MEMBERS OF THE BOARD?

4 MS. SAMUELSON: MR. CHAIRMAN, I'D LIKE US
5 TO HEAR FROM THE EXTRAORDINARY PETITION AUTHOR. BUT
6 ALSO IN REFERENCE TO SOME COMMENTS ABOUT THE SCORE
7 AND DISCUSSION IN THE GRANTS GROUP, I THINK WE'RE
8 GOING TO NEED A CLOSED SESSION ON THIS ONE. I DON'T
9 THINK IT CAN BE COMPLETELY RESOLVED BEFORE THAT. I
10 THINK IT SHOULDN'T BE.

11 CHAIRMAN THOMAS: I THINK THAT IS LIKELY
12 THE CASE BECAUSE THIS, MORE THAN I THINK ANY OF THE
13 EXTRAORDINARY PETITIONS, HAS SORT OF THE LONGEST
14 LIST OF ISSUES ON SCIENTIFIC-RELATED MATTERS. SO
15 IT'S SOMETHING THAT COULD WELL MERIT DISCUSSION IN
16 CLOSED SESSION TO ADDRESS.

17 MS. SAMUELSON: I THINK IT'S A GOOD IDEA
18 FOR A VARIETY OF REASONS, BUT I ALSO THINK THAT THE
19 EXTRAORDINARY PETITION RESPONDS EXTREMELY WELL TO
20 THOSE COMMENTS. SO I'M EAGER TO HEAR FROM HIM. AND
21 I HAVE MORE COMMENTS, BUT I'D PREFER TO MAKE THEM
22 LATER.

23 CHAIRMAN THOMAS: MR. HARRISON.

24 MR. HARRISON: COULD I SUGGEST THAT IF WE
25 ARE READY, WE COULD HEAR FROM THE APPLICANT. AND

BARRISTERS' REPORTING SERVICE

1 THEN TO THE EXTENT THAT THE PRESENTATION OR
2 QUESTIONS FROM THE BOARD IDENTIFY PROPRIETARY
3 INFORMATION, WE CAN NOTE THAT AND RESERVE THAT FOR
4 CLOSED SESSION, IF NECESSARY.

5 CHAIRMAN THOMAS: OKAY. WE PROCEED TO
6 MEMBERS OF THE PUBLIC.

7 DR. LIPTON: YES. DR. STUART LIPTON, M.D.
8 PH.D. NEUROLOGIST IN SOUTHERN CALIFORNIA, AND I'M
9 THE PI OF THE STUDY.

10 THIS IS THE ONLY PARKINSON'S DISEASE TEAM
11 THAT YOU'VE SEEN. THIS IS THE ONLY ONE. YOU HAVE
12 NONE. I TAKE CARE OF MANY OF THESE PATIENTS. AFTER
13 ABOUT TEN YEARS, THE DRUGS DON'T WORK, SINEMET. THE
14 OTHER DRUGS DO NOT WORK. THEY BECOME WHEELCHAIR
15 BOUND AND THEY CAN'T BREATHE.

16 SO WHY DID I FILE THIS EXTRAORDINARY
17 PETITION? WELL, I'VE HAD SUCCESS WITH THE FDA. AS
18 MANY OF YOU KNOW, I DEVELOPED THE LATEST
19 NEURODEGENERATIVE DISEASE DRUG. THAT'S A PROOF FOR
20 ALZHEIMER'S DISEASE AND IN EUROPE LEWYBODY DEMENTIA,
21 WHICH IS ASSOCIATED WITH PARKINSON'S DISEASE. I LED
22 THE CLINICAL DEVELOPMENT AND THE PRECLINICAL
23 DEVELOPMENT OF THAT DRUG. SO SOME OF THE STATEMENTS
24 ARE ALREADY BY FACT WRONG IN YOUR REVIEW, WHICH SAID
25 I HAVE NO FDA EXPERIENCE.

BARRISTERS' REPORTING SERVICE

1 BUT LET ME MAKE A MORE IMPORTANT POINT TO
2 YOU. OUR DISEASE TEAM IS SANFORD BURNHAM, UC SAN
3 DIEGO, UCSF, STANFORD, THE SALK, THE PARKINSON'S
4 INSTITUTE. VIRTUALLY EVERY PARKINSON'S DISEASE
5 EXPERT IN CALIFORNIA HAS VETTED THIS PROPOSAL.

6 THIS IS THE MOST PREVALENT MOVEMENT
7 DISORDER IN THE UNITED STATES. THERE'S OVER A
8 MILLION CASES. MANY OF THEM ARE HERE IN CALIFORNIA.
9 SOME OF THEM ARE IN THIS ROOM. THERE ARE PATIENTS
10 OF MINE IN THIS ROOM. HIPAA DOESN'T LET ME TELL YOU
11 WHO THEY ARE.

12 WE ALSO HAVE ONGOING SUPPORT FROM THE NIH,
13 VALENTHIA THAT YOU HEARD OF EARLIER, AND OTHER
14 EUROPEAN TRIALS, INCLUDING THAT IN SWEDEN. WHY DID
15 WE PICK THIS CELL TYPE? FIRST OF ALL, LET ME SAY
16 THAT THE PLANNING COMMITTEE COMMENTED THAT THE
17 STRENGTH OF OUR PROPOSAL IN USING A STABLE CELL
18 LINE, BY DEFINITION A STABLE CELL LINE IS A HUNDRED
19 PERCENT PURE, WAS THE FACT THAT WE HAD A STABLE CELL
20 LINE EXPRESSING THIS TRANSCRIPTION FACTOR. I WAS
21 INCREDULOUS THAT A MEMBER OF YOUR REVIEW WOULD ASK
22 ABOUT THE PURITY OF A STABLE CELL LINE PUBLISHED IN
23 THE *JOURNAL OF NEUROSCIENCE*, *PLOS*, AND *PNAS*. I
24 DON'T UNDERSTAND THE COMMENT. THAT'S WHAT JOAN WAS
25 REFERRING TO. SO THIS IS A PURE CELL LINE. IT'S

BARRISTERS' REPORTING SERVICE

1 THE ONLY ONE THAT'S COMING TO YOU TODAY. IT'S THE
2 ONLY PURE CELL LINE, AND YET A COMMENT WAS MADE
3 ABOUT THE PURITY. I DON'T UNDERSTAND THAT.

4 WE ALSO CAN GENERATE 85 PERCENT
5 DOPAMINERGIC NEURONS. IT'S DOCUMENTED IN THE
6 PROPOSAL, PUBLISHED IN THE *JOURNAL OF NEUROSCIENCE*.
7 WE'RE THE ONLY ONE TO DO THAT. THE NEXT, I GUESS
8 RUNNER UP IS A CLOSE COLLEAGUE OF MINE, LAWRENCE
9 STUDER IN NEW YORK, WHO HAS GENERATED 70 PERCENT
10 NEURONS FROM HUMAN EMBRYONIC STEM CELLS.

11 SO I THINK ON THOSE SCORES WE'RE VERY
12 GOOD. WE VETTED THE MODEL IN BOTH RODENTS AND EARLY
13 MONKEY STUDIES. AND I IMPLORE YOU TO LOOK AT FIGURE
14 4 WHERE THERE ARE MULTIPLE BEHAVIORAL STUDIES,
15 ALTHOUGH CIRM JUST SAID THERE WAS ONE BEHAVIORAL
16 STUDY. LOOK AT FIGURE 4. THERE ARE TWO SHOWN
17 THERE. THERE ARE FOUR OTHERS PUBLISHED IN *JOURNAL*
18 *OF NEUROSCIENCE*. SO THAT'S JUST A MISCOMMENT.

19 THE REVIEW ASKED WHY WE HAD SO MANY
20 CONSULTANTS. I WANT TO SPEAK TO THAT. WHAT WE
21 TRIED TO DO WAS GO TO EVERYONE RUNNING A CLINICAL
22 TRIAL IN THE WORLD SO THAT WE WOULD COME UP WITH THE
23 BEST CLINICAL TRIAL. SO WE HAVE ANDERS BJORKLUND,
24 WE HAVE RUSTY GAGE, WE HAVE MULTIPLE PEOPLE WHO CAN
25 TELL US THAT ARE RUNNING OTHER TRIALS.

BARRISTERS' REPORTING SERVICE

1 SO WHAT I'M TELLING YOU IS I THINK WE HAVE
2 A VERY GOOD CHANCE OF SUCCESS; BUT IN A LARGER
3 SENSE, I'M ASKING YOU TO GIVE THAT CHANCE TO OUR
4 PARKINSON'S PATIENTS. I THINK THIS IS VERY
5 IMPORTANT. IT'S THE MOST PREVALENT MOVEMENT
6 DISORDER IN THE WORLD. THANK YOU VERY MUCH.

7 CHAIRMAN THOMAS: THANK YOU, DR. LIPTON.
8 ADDITIONAL PUBLIC COMMENT? OKAY. JAMES, HOW SHOULD
9 WE PROCEED HERE BECAUSE THERE ARE DATA ISSUES AND A
10 VARIETY OF SCIENTIFIC QUESTIONS?

11 MR. HARRISON: TO THE EXTENT THAT THERE
12 ARE QUESTIONS THAT BOARD MEMBERS HAVE THAT CAN BE
13 ADDRESSED IN OPEN SESSION, WE SHOULD HAVE THAT
14 DISCUSSION NOW. TO THE EXTENT THAT WE IDENTIFY
15 PROPRIETARY INFORMATION THAT WOULD REQUIRE A CLOSED
16 SESSION, WE COULD RESERVE THOSE QUESTIONS AND
17 CONVENE IN CLOSED SESSION AFTER WE COMPLETE THE
18 REVIEW OF THE OTHER APPLICATIONS.

19 DR. MELMED: PROCEDURALLY CAN WE HAVE A
20 CLOSED SESSION?

21 CHAIRMAN THOMAS: THIS IS A MR. HARRISON
22 QUESTION.

23 DR. PRICE: CAN WE HAVE A CLOSED SESSION?

24 CHAIRMAN THOMAS: HE SAID PROCEDURALLY CAN
25 WE HAVE A CLOSED SESSION.

BARRISTERS' REPORTING SERVICE

1 MR. HARRISON: YES. IF YOU WANT TO REVIEW
2 SOME OF THE PROPRIETARY INFORMATION IN THE
3 APPLICATION, WE CAN DO THAT IN CLOSED SESSION.

4 DR. MELMED: YES.

5 CHAIRMAN THOMAS: OKAY. SO I THINK WE'VE
6 NOW HAD A REQUEST. WE WILL HAVE A CLOSED SESSION.
7 I THINK BECAUSE WE HAVEN'T REALLY GOTTEN INTO ANY
8 SORT OF FULL-BLOWN DISCUSSION, THIS COULD BE A
9 CLOSED SESSION THAT COMES AT THE END OF THE
10 DISCUSSION OF THE EXTRAORDINARY PETITIONS SO THAT WE
11 DON'T BREAK UP THE CONTINUITY HERE.

12 SO LET'S TABLE THIS PENDING CLOSED SESSION
13 DISCUSSION AND NOW ASK THE BOARD IF THERE ARE
14 ADDITIONAL PETITIONS THAT YOU WOULD LIKE FURTHER
15 INFORMATION ON.

16 MR. TORRES: YES, MR. CHAIRMAN AND
17 MEMBERS. DR2A-05365.

18 CHAIRMAN THOMAS: CAN YOU TELL US WHAT
19 THAT IS IN PLAIN ENGLISH, PLEASE, MR. R2D2?

20 MR. TORRES: THANK YOU. IT IS TO PROVIDE
21 A CHEMOTHERAPY FREE STEM CELL TRANSPLANT PROCEDURE.

22 CHAIRMAN THOMAS: SO THIS IS THE STANFORD
23 PROPOSAL. WE'VE HAD A REQUEST FOR A BRIEF STAFF
24 PRESENTATION. SOHIL.

25 DR. TALIB: MR. CHAIRMAN, LET ME DESCRIBE

BARRISTERS' REPORTING SERVICE

1 TO YOU THE OBJECTIVES OF THIS GRANT AND THE WEAKNESS
2 OF THIS PARTICULAR PROPOSAL.

3 THE OBJECTIVE OF THIS PROPOSAL IS TO
4 DEVELOP A NOVEL APPROACH FOR THE TREATMENT OF SEVERE
5 COMBINED IMMUNODEFICIENCY OR SCID. THE CANDIDATE
6 THERAPY IS A MONOCLONAL ANTIBODY THAT RECOGNIZES AND
7 DEPLETES ENDOGENOUS STEM CELLS, MAKING A SPACE FOR
8 INCOMING ALLOGENEIC STEM CELLS TO ENGRAFT.

9 PROPOSED ACTIVITIES FOR THIS PARTICULAR
10 PROJECT INCLUDES PRECLINICAL AND IND-ENABLING
11 STUDIES AS WELL AS PHASE I, PHASE II CLINICAL
12 TRIALS.

13 THE STRENGTH OF THIS PARTICULAR
14 APPLICATION IS THIS IS AN UNMET MEDICAL NEED.
15 THERE'S A POTENTIAL OF REDUCING THE RISK OF
16 REGIMEN-RELATED TOXICITY. SO THE GRANTS WORKING
17 GROUP WERE APPRECIATIVE OF THE SIGNIFICANCE OF THIS
18 PARTICULAR APPLICATION. HOWEVER, THERE WERE MAJOR
19 CONCERNS OVERALL ON THE FEASIBILITY OF THE PROJECT,
20 AND THERE WERE THREE MAIN CONCERNS.

21 ONE RELATES TO THAT THE EXISTING SUPPLY OF
22 THE CANDIDATE ANTIBODY IS SET TO EXPIRE PRIOR TO THE
23 COMPLETION OF THE PROPOSED CLINICAL TRIAL. AND THE
24 REVIEW WAS NOT CONVINCED THAT THE ALTERNATE PLAN
25 WHICH IS PROPOSED IN THE APPLICATION IS CONVINCING.

BARRISTERS' REPORTING SERVICE

1 THE SECOND CONCERN WAS RELATED TO THE
2 SUPPORTING ANTIBODIES AND THE METHODOLOGY THAT WILL
3 BE USED FOR THE SELECTION OF THE STEM CELLS, WHICH
4 IS AN INTEGRAL PART OF THIS PARTICULAR PROPOSAL.
5 AND THEY THOUGHT THAT IT IS AT EARLY STAGE OF
6 DEVELOPMENT AND WILL REQUIRE A LOT OF EFFORT, TIME,
7 AND MONEY TO DEVELOP THOSE ANTIBODIES FOR THIS
8 PARTICULAR PURPOSE.

9 THERE WERE ALSO SOME CONCERNS ABOUT THE
10 REGULATORY STRATEGY. THEY THOUGHT THAT THIS WOULD
11 REQUIRE TWO SEPARATE IND'S AND POSSIBLY AN IDE. SO
12 OVERALL, THE GRANTS WORKING GROUP WAS APPRECIATIVE
13 OF THE SIGNIFICANCE OF THIS PARTICULAR TECHNOLOGY
14 AND ITS IMPORTANCE FOR THIS UNMET MEDICAL NEED, BUT
15 THE MAIN CONCERNS WERE RELATED TO THE FEASIBILITY OF
16 COMPLETING THIS PROJECT BECAUSE OF NOT HAVING ACCESS
17 TO THE ANTIBODY WHICH IS CRITICAL FOR THE COMPLETION
18 OF THE CLINICAL TRIALS WHICH THE INVESTIGATORS ARE
19 PROPOSING.

20 PI HAS FILED EXTRAORDINARY PETITION FOR
21 THIS APPLICATION.

22 CHAIRMAN THOMAS: THANK YOU. COMMENTS BY
23 MEMBERS OF THE BOARD? SENATOR TORRES.

24 MR. TORRES: NO COMMENTS. I'D LIKE TO
25 MOVE TO THE PUBLIC COMMENT.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: ARE THERE OTHER COMMENTS
2 OR ARE THERE ANY COMMENTS BY THE BOARD BEFORE MOVING
3 TO HEAR FROM THE PUBLIC? LET'S HEAR FROM THE
4 PUBLIC. THEN WE'LL COME BACK FOR BOARD DISCUSSION.
5 PLEASE STATE YOUR NAME, AND, AGAIN, PLEASE KEEP YOUR
6 TESTIMONY TO THREE MINUTES.

7 DR. SHIZURU: MY NAME IS JUDY SHIZURU. I
8 AM A BLOOD AND MARROW TRANSPLANT DOCTOR, AND I'M THE
9 PI OF THIS PROPOSAL. AND I WANT TO START BY
10 THANKING THE BOARD FOR CONSIDERING OUR EXTERNAL
11 PETITION. I ALSO WANT TO ACKNOWLEDGE THE WORK THAT
12 THE REVIEWERS HAVE DONE TO DELIVER AS COMPLETE AND
13 FAIR A REVIEW AS POSSIBLE. HOWEVER, WE ARE
14 SUBMITTING THIS EXTRAORDINARY PETITION BECAUSE WE
15 BELIEVE THAT THERE WERE SUBSTANTIAL
16 MISUNDERSTANDINGS AND, IN FACT, FACTUAL ERRORS IN
17 OUR REVIEW.

18 SO JUST BEFORE I TALK ABOUT THE REVIEW
19 SPECIFICALLY, I JUST WANT TO PUT A CONTEXT INTO WHAT
20 THIS MONOCLONAL ANTIBODY WILL DO. THIS MONOCLONAL
21 ANTIBODY WILL RECOGNIZE HUMAN BLOOD STEM CELLS AND
22 WILL BE THE FIRST AGENT OF ITS KIND THAT WILL BE
23 TESTED TO SEE IF IT CAN DEplete BLOOD STEM CELLS SO
24 THAT WE CAN DO STEM CELL REPLACEMENT THERAPY SAFELY.
25 CURRENTLY THE ONLY WAY TO DEplete STEM CELLS, BLOOD

BARRISTERS' REPORTING SERVICE

1 STEM CELLS, IS WITH CHEMOTHERAPY AND RADIATION.

2 ALTHOUGH WE FOCUS ON THE DISEASE SCID,
3 WHICH IS A GRIEVOUS ILLNESS IN CHILDREN, BUT A
4 RELATIVELY RARE ONE, WE KNOW THAT IF WE PROVE THAT
5 THIS ANTIBODY CAN WORK TO DEplete STEM CELLS, THAT
6 IT WILL OPEN UP THE FIELD OF BLOOD STEM CELL
7 TRANSPLANTATION FOR A NUMBER OF DISEASES, INCLUDING
8 AUTOIMMUNE DISEASES LIKE DIABETES AND MULTIPLE
9 SCLEROSIS, FOR GENE THERAPY, AND FOR TOLERANCE
10 INDUCTION TO TISSUES AND ORGANS.

11 SO WHAT I WANTED TO DO IS TO GIVE YOU A
12 BRIEF HISTORY OF THE RELATIONSHIP OF OUR TEAM WITH
13 THE COMPANY THAT'S PROVIDING THE ANTIBODY. WE HAD
14 DETERMINED IN 2007 THAT THE CD117 MOLECULE WOULD BE
15 APPROPRIATE FOR TARGETING TO DEplete STEM CELLS.
16 AND THAT WAS BASED UPON STUDIES THAT WERE DONE IN
17 MICE WHERE MICE TREATED WITH THE ANTIBODY HAD ROBUST
18 ENGRAFTMENT OF PURE STEM CELLS. SUBSEQUENTLY WE
19 DECIDED WE WERE GOING TO MAKE THE ANTIBODY, BUT THEN
20 LEARNED THAT A MULTINATIONAL BIOTECHNOLOGY COMPANY
21 THAT HAS GENERATED PROJECTS FOR MILLIONS OF PEOPLE
22 AND IS HEADQUARTERED IN CALIFORNIA HAD ACTUALLY
23 ALREADY MADE AN ANTIBODY. AND THAT ANTIBODY THEY
24 MADE FOR A DIFFERENT INDICATION THAN OURS.

25 SO WE BEGAN COLLABORATING WITH THEM IN

BARRISTERS' REPORTING SERVICE

1 2010, AND THAT COLLABORATION HAS BEEN VERY
2 INTERACTIVE AND OPEN. AFTER WE RECEIVED THE
3 PLANNING GRANT, WE LEARNED FROM THE COMPANY IN A
4 FACE-TO-FACE MEETING THAT THEY WERE NO LONGER
5 INTERESTED IN PURSUING THIS MONOCLONAL ANTIBODY FOR
6 THEIR PURPOSES AND THAT THEY WERE NOT GOING TO MAKE
7 THE ANTIBODY ANYMORE. HOWEVER, THEY WERE SUPPORTIVE
8 OF OUR EFFORTS, PROVIDED US WITH THE PRECLINICAL AND
9 CLINICAL DATA, SO THE FIRST-IN-HUMAN STUDIES FOR
10 APPLICATION AND THE MANUFACTURING TABLE, AND ALSO
11 INDICATED TO US THAT THEY WOULD, IN FACT, GIVE US
12 THE ANTIBODY THAT THEY ALREADY HAD IN THEIR CLINICAL
13 STOCK, SO A GMP GRADE ANTIBODY.

14 I THINK NOW WHEN WE LOOK AT OUR REVIEW,
15 THERE WAS NO CONCERN ABOUT THE IMPACT, THE
16 SIGNIFICANCE, OR THE THERAPEUTIC READINESS, BUT
17 REALLY THE REAL CONCERNS WERE WITH REGARD TO
18 FEASIBILITY. THERE WERE THREE, BUT TWO IS ALL I
19 HAVE TIME TO ADDRESS. SO THE --

20 MR. HARRISON: I'M SORRY. JUST TO LET
21 KNOW, YOUR THREE MINUTES ARE UP, SO IF YOU COULD TRY
22 TO MAKE THE LAST TWO POINTS QUICKLY.

23 DR. SHIZURU: SO IN APRIL OF 2012, WE
24 PROVIDED TO CIRM OUR PLAN TO ENSURE ACCESS TO THE
25 ANTIBODY. SUBSEQUENTLY IN OUR PETITION WE'VE DONE

BARRISTERS' REPORTING SERVICE

1 THAT AS WELL. AND FROM THE COMPANY WE HAVE RECEIVED
2 A NEW LETTER DATED JULY 16, 2012, WHICH REAFFIRMS
3 THEIR COMMITMENT TO ENSURE THAT WE'RE GOING TO HAVE
4 ANTIBODIES IN THE PIPELINE -- I'M SORRY -- THAT
5 WE'RE GOING TO HAVE ANTIBODIES FOR OUR USE FOR THE
6 CLINICAL TRIAL AND FOR THE LONG TERM. SO THAT'S
7 VERY EXPLICITLY LAID OUT IN OUR PETITION.

8 THE SECOND CONCERN WAS IN REGARD TO THE
9 SUPPORTING ANTIBODIES WHERE THE REVIEWERS THOUGHT
10 THIS WAS IN A PRIMITIVE STATE. I KNOW DR. WEISSMAN
11 WILL BE SPEAKING AFTER WE, AND HE WAS THE DEVELOPER
12 20 YEARS AGO, AND WE DID CLINICAL TRIALS WITH THESE
13 ANTIBODIES. AND SO THE ANTIBODY PRODUCTION IS NOT
14 IN A PRIMITIVE STATE. IT'S ACTUALLY IN AN ADVANCED
15 STATE. AND WE'RE SCHEDULED TO HAVE THESE ANTIBODIES
16 PRODUCED AND THE PROCEDURE VETTED IN APRIL 2013.

17 CHAIRMAN THOMAS: THANK YOU. I'M SORRY.
18 THANK YOU VERY MUCH, DOCTOR. THANK YOU FOR -- I
19 KNOW IT'S RUSHING, BUT WE'RE TRYING TO KEEP
20 EVERYBODY TO EQUAL TIME HERE. SO DR. WEISSMAN.

21 DR. WEISSMAN: SO I'M IRV WEISSMAN FROM
22 STANFORD UNIVERSITY. IN 1988 WE ISOLATED THE MOUSE
23 BLOOD-FORMING STEM CELL, '92 THE HUMAN BLOOD-FORMING
24 STEM CELL AT A COMPANY CALLED SYSTEMIX. SYSTEMIX IS
25 OUT OF BUSINESS. I'VE NEGOTIATED BACK THE

BARRISTERS' REPORTING SERVICE

1 ANTIBODIES. I HAVE NO STOCK IN THE COMPANY FROM
2 WHICH I NEGOTIATED BACK THE ANTIBODY. STANFORD
3 UNIVERSITY AND STANFORD HOSPITAL HAVE THE RIGHT TO
4 THE ANTIBODIES. THEY HAVE THE HYBRIDOMAS THAT MAKE
5 THE ANTIBODIES IN QUESTION WHICH SORT THE STEM
6 CELLS. THEY WERE KEPT UNDER MASTER CELL BANK
7 CONDITIONS, AND THEY ARE BEING PUT INTO A MASTER
8 CELL BANK.

9 AS HEAD OF THE LUDWIG CENTER AT STANFORD,
10 WE HAVE FUNDED THAT ALREADY. THAT WILL NOT BE PART
11 OF THE APPLICATION.

12 SO ANTIBODIES SUFFICIENT FOR ALL THE
13 PRECLINICAL TESTING AND MOVING UP TO AND THROUGH THE
14 CLINICAL TRIAL WILL BE AVAILABLE FROM THIS MASTER
15 CELL BANK THAT IS BEING ESTABLISHED.

16 I WANT TO GIVE YOU A LITTLE BIT BIGGER
17 PICTURE. WHEN WE ISOLATED STEM CELLS BACK AT
18 SYSTEMIX, THEY WERE ONE IN 20,000 CELLS IN THE
19 BLOOD-FORMING ORGAN, WHETHER BONE MARROW OR WHAT'S
20 CALLED MOBILIZED BLOOD. WHEN WE PURIFIED THE STEM
21 CELLS, THERE'S NOTHING BUT STEM CELLS IN THE TUBE.
22 WOMEN WITH METASTATIC BREAST CANCER HAD FOR THE
23 FIRST TIME CANCER-FREE STEM CELLS TO RESCUE THEIR
24 BLOOD-FORMING SYSTEM AFTER MYELOABLATIVE. THAT IS
25 LETHAL CHEMOTHERAPY. FIFTEEN YEARS LATER ONE-THIRD

BARRISTERS' REPORTING SERVICE

1 OF THOSE WOMEN ARE ALIVE. OTHER THERAPIES WITH
2 MOBILIZED PERIPHERAL BLOOD ALONE OR ANY OTHER
3 THERAPY IS NO BETTER THAN 7 PERCENT. YET THE
4 COMPANY THAT BOUGHT MY COMPANY, SYSTEMIX, SHUT IT
5 DOWN FOR A BUSINESS REASON IN 2000 BEFORE THE
6 CLINICAL TRIALS CAME OUT. THAT'S WHY WE ARE DOING
7 THESE TRIALS AT STANFORD UNIVERSITY, NOT AT A
8 COMPANY.

9 WE MADE THE ANTIBODY. WE WERE FIRST TO
10 SHOW THAT AN ANTIBODY TO C-KIT DEPLETES STEM CELLS.
11 WE ALWAYS DO MOUSE TO MOUSE FIRST, MOUSE TO
12 HUMANIZED MOUSE SECOND, AND THEN HUMAN TRIAL. WE
13 CURED MOUSE SEVERE COMBINED IMMUNODEFICIENCY WITH
14 T-CELL-FREE AND CANCER-FREE STEM CELLS. IF YOU
15 DON'T PURIFY THE STEM CELLS AWAY, THE T-CELLS THAT
16 ARE PRESENT IN THE BLOOD OR THE BONE MARROW CAUSE A
17 DISEASE THAT'S WORSE THAN THE DISEASE WE'RE GOING TO
18 TREAT. IT'S CALLED GRAFT VERSUS HOST DISEASE.
19 WE'VE PROVEN ABSOLUTELY THAT PURE STEM CELLS NOT
20 ONLY DON'T CAUSE GRAFT VERSUS HOST DISEASE. THEY
21 ENGRAFT FOR LIFE BECAUSE THEY SELF-RENEW. THEY
22 REPLACE A DEFECTIVE GENETIC SYSTEM. THIS TIME SCID,
23 BUT YOU JUST FOLLOW DOWN THE LINE. IT WILL BE
24 SICKLE CELL, BETA THAL, TYPE 1 DIABETES. I'M ALMOST
25 THERE.

BARRISTERS' REPORTING SERVICE

1 SO WE HAVE FOR THE FIRST TIME A CHANCE,
2 FIRST IN MAN, PURE STEM CELLS IN HUMANS. WE HAVE A
3 SIGNED LETTER FROM AMGEN GUARANTEEING NOT ONLY THE
4 WHOLE LOT OF THEIR ANTIBODY PROVEN, BUT THE GENES TO
5 MAKE THE NEXT ANTIBODY. NO INTERFERENCE. SO THE
6 BIG PICTURE IS THIS IS THE PLATFORM FOR STEM CELLS.
7 IF BLOOD-FORMING STEM CELLS PERFORM AS WE EXPECT AND
8 INDUCE TOLERANCE OF ANY OTHER TISSUE, TODAY IT'S A
9 DONOR LIKE ANY OF YOU IN THE ROOM, BUT TOMORROW IT
10 WILL BE EMBRYONIC STEM CELL-DERIVED OR IPS-DERIVED
11 HEMATOPOIETIC STEM CELLS, NEURAL STEM CELLS
12 CO-TRANSPLANTED FOR TOLERANCE. THANK YOU.

13 CHAIRMAN THOMAS: THANK YOU, DR. WEISSMAN.
14 MR. KLEIN.

15 MR. KLEIN: THIS IS THE ONLY OTHER DISEASE
16 TEAM GRANT I WILL ADDRESS. VERY SPECIFICALLY, THIS
17 WAS A DISEASE TEAM GRANT THAT I WAS ON THE PEER
18 REVIEW IN THE PLANNING GRANT STAGE. THERE ARE SOME
19 FUNDAMENTAL ISSUES HERE. IS THE INTERNATIONAL
20 COMPANY ON WHICH THE ONE ANTIBODY THAT'S NOT COMING
21 FROM STANFORD, THE TWO FOR SORTING ARE COMING FROM
22 STANFORD, IS THE OTHER ANTIBODY COMING FROM THIS
23 INTERNATIONAL COMPANY A COMMITMENT THAT YOU CAN RELY
24 ON?

25 THE REVIEWERS SAID THIS WAS A SHOWSTOPPER.

BARRISTERS' REPORTING SERVICE

1 THAT'S THE WORD THEY USED. THEY MADE A DECISION
2 THIS WAS A SHOWSTOPPER BECAUSE THEY DID NOT BELIEVE
3 THE COMPANY BECAUSE THEY THOUGHT THAT THE
4 DOCUMENTATION WAS INADEQUATE. YOU NOW HAVE A LETTER
5 THAT GOES INTO GREAT PROPRIETARY DEPTH ABOUT THE
6 DEPTH OF THIS COMPANY'S COMMITMENTS WRITTEN BY THE
7 HEAD OF DEVELOPMENT AND TRANSLATION INTERNATIONALLY
8 FOR THE COMPANY.

9 IF WE CANNOT DEPEND ON COMPANY COMMITMENTS
10 OF THIS TYPE, AND YOU WILL REVIEW THE LETTER IN
11 EXECUTIVE SESSION, IF YOU HAVE ONE, I WILL NOT
12 UNDERSTAND HOW WE'LL BE ABLE TO COLLABORATE WITH
13 COMPANIES WITH PROPRIETARY PRODUCTS AND PROCESSES
14 WHERE THEY'RE MAKING COMMITMENTS TO ACADEMIC
15 INSTITUTIONS OF THE HIGHEST STANDARD. I BELIEVE
16 THIS COMPANY IS GOING TO PERFORM. I WAS ON AN HOUR
17 CALL TO CONFIRM WITH EIGHT MEMBERS OF THAT COMPANY
18 THEIR LEVEL OF COMMITMENT, AND I AM COMPLETELY
19 CONVINCED BY THAT POINT.

20 THE REVIEW IS COMPLETELY FACTUALLY WRONG
21 ON THIS ISSUE ABOUT THE OTHER TWO ANTIBODIES FOR
22 SORTING THIS. DR. WEISSMAN HAS JUST SAID THEY HAVE
23 NOT ONLY BEEN DEVELOPED, THEY HAVE BEEN USED IN
24 CLINICAL TRIALS. THERE'S DATA ON THEM. AND THEY
25 ARE, IN FACT, BEING THAWED UNDER FDA DIRECTION TO

BARRISTERS' REPORTING SERVICE

1 REUSE IN THIS TRIAL.

2 SO I BELIEVE THERE'S A MAJOR FACTUAL
3 DIFFERENCE. REMEMBER WITH KAREN ABOODY THERE WAS A
4 MAJOR FACTUAL ERROR THAT WAS PIVOTAL IN ELEVATING
5 THAT, AND WE FOUND TREMENDOUS PERFORMANCE ON THAT
6 GRANT BY KAREN ABOODY OF CITY OF HOPE.

7 SO YOU HAVE A DECISION TO MAKE. AS A RISK
8 ISSUE, DO WE BELIEVE THIS COMPANY?

9 FINALLY, THIS IS BROADER THAN SCID.
10 DONALD KOHN HAS WRITTEN A LETTER THAT'S IN THE
11 PUBLIC DOMAIN THAT I SUGGEST YOU READ. IT MAKES IT
12 VERY CLEAR THAT OPENING THE NICHE FOR REPOPULATING
13 THE IMMUNE SYSTEM WITHOUT CHEMOTHERAPY AND RADIATION
14 IS A KEY CONTRIBUTION TO EVERY FORM OF GENETICALLY
15 MODIFIED STEM CELLS FOR AN ENTIRE RANGE OF CHILDHOOD
16 DISEASES AND OTHER GENETIC DISEASES IN ADDITION TO
17 THERAPIES LIKE SICKLE CELL OR AIDS.

18 I SUGGEST THAT THAT PROFOUND CONTRIBUTION
19 THAT CAN BE MADE TO THE FIELD IS A RISK THAT IS
20 WORTH TAKING EARLY ON BECAUSE OF HIS CONTRIBUTION TO
21 SO MANY OTHER AREAS. YOU HAVE 12 OTHER LETTERS FROM
22 NORTH AMERICA'S LEADING PEDIATRIC GENETICISTS THAT
23 FUNDAMENTALLY PROVIDE EXTRAORDINARY SUPPORT FOR THIS
24 POSITION AND THIS APPROACH. THANK YOU.

25 CHAIRMAN THOMAS: THANK YOU, MR. KLEIN.

BARRISTERS' REPORTING SERVICE

1 MR. REED.

2 MR. REED: THE REVIEWERS HAVE TOO MUCH ON
3 THEIR PLATE. I'VE SAT IN A COUPLE REVIEW SESSIONS,
4 NOT OF THESE, BUT IN MY ROMAN REED ACT, AND
5 EXHAUSTION IS A SERIOUS PROBLEM. I WONDER IF THIS
6 JUST MAY HAVE HAPPENED HERE BECAUSE THERE SEEM TO BE
7 SOME ERRORS. IS THERE -- ONE OBJECTION WAS IS THERE
8 A GUARANTEED ACCESS TO NEEDED MATERIAL? THE
9 REVIEWER SAID NO. THERE APPEARS TO BE GUARANTEED
10 ACCESS. THE PROCEDURE WAS PROVIDED AS PRIMITIVE OR
11 SOMETHING AND IS ACTUALLY ESTABLISHED AND GOT SOME
12 GREAT PEOPLE THERE. YOU DON'T COME MORE EXPERIENCED
13 THAN IRV WEISSMAN.

14 THERE WAS SUPPOSED TO BE NO BACKUP PLAN,
15 BUT THERE IS A BACKUP PLAN. IT'S A BOOST INFUSION.
16 THEY SUGGEST THEY MEET WITH THE FDA. THEY HAD DONE
17 SO. I THINK THIS IS AN ERROR. I THINK THAT THIS IS
18 A WORTHWHILE PROJECT, AND I THINK IT DESERVES YOUR
19 SUPPORT. THANK YOU.

20 CHAIRMAN THOMAS: THANK YOU, MR. REED.
21 ANY FURTHER PUBLIC COMMENT? BECAUSE OF THE
22 PROPRIETARY NATURE OF THE LETTER THAT WE NEED TO
23 DISCUSS AND THE FACT THAT THE POINTS RAISED THEREIN
24 ARE PIVOTAL TO RECONSIDERING THIS APPLICATION, I AM
25 GOING TO PUT THIS OVER INTO OUR CLOSED SESSION

BARRISTERS' REPORTING SERVICE

1 DISCUSSION AS WELL. AND WE'LL TAKE IT UP AT THAT
2 POINT UNLESS THERE ARE ANY OTHER PRELIMINARY
3 COMMENTS ANYBODY WOULD LIKE TO MAKE.

4 HEARING NONE, MR. ROTH, YOU HAVE A
5 PROPOSAL YOU'D LIKE TO BRING UP?

6 MR. ROTH: YES. GRANT 5352. AND MY
7 REASON FOR WANTING --

8 CHAIRMAN THOMAS: WHICH IS THAT, MR. ROTH?

9 MR. ROTH: 5352 IS THE NEW THERAPEUTIC TO
10 REDUCE CSC FREQUENCY IN BREAST CANCER. SO I'D LIKE
11 JUST TO MAKE A COMMENT HERE. THIS IN THE
12 PRELIMINARY REVIEW OR WHATEVER WE CALL IT, THE
13 CONCEPT PROPOSAL, I THINK, RATED NO. 1. AND IN THE
14 REVIEW ITSELF, I'VE HEARD SEVERAL TIMES TODAY THAT
15 THESE ARE NOT CONNECTED, BUT IN MY MIND THEY SHOULD
16 BE BECAUSE IF A PREPROPOSAL SCORES THAT HIGHLY ON
17 THE MERITS AND THEN RANKS WAY DOWN, SOMETHING DIDN'T
18 QUITE CLICK THERE.

19 I'M INTERESTED IN -- AT LEAST HEARING FROM
20 THE PUBLIC COMMENT THIS WAS AN EXTRAORDINARY
21 PETITION AS WELL, I BELIEVE. YOU CAN BRIEF US SO WE
22 CAN GET TO THIS.

23 DR. FEIGAL: SO THIS IS DR. ARI ABO, WHO'S
24 THE SCIENCE OFFICER AT CIRM.

25 DR. ABO: THIS PROPOSAL IS PROPOSING TO

BARRISTERS' REPORTING SERVICE

1 TARGET CANCER STEM CELLS. IT COMES FROM A COMPANY
2 THAT IS PIONEER IN THIS SPACE, ONCOMED. AND POINTS
3 THAT THEY'RE TAKING, THEY IDENTIFIED A SIGNALING
4 PATHWAY, THE NOTCH SIGNALING PATHWAY, THAT IS
5 IMPLICATED IN BREAST CANCER.

6 THEY ARE PROPOSING TO CONDUCT IND-ENABLING
7 STUDIES AND FOUR CLINICAL TRIALS. THE TWO FIRST
8 CLINICAL TRIAL PHASE I-A AND PHASE I-B, THEY'RE
9 PROPOSING TO STUDY ALL NEWCOMERS, UNSELECTED SOLID
10 CANCER PATIENTS MOSTLY FOR TOXICOLOGY AND
11 PHARMACOKINETICS AND TO ESTABLISH A BIOMARKER
12 DISCOVERY METHOD THAT IT COULD USE LATER ON THE
13 BREAST CANCER PATIENTS.

14 THE SECOND TWO CLINICAL TRIALS ARE PHASE
15 II CLINICAL TRIALS. AND THEY'RE TARGETING THE
16 TARGETED DISEASE OF BREAST CANCER WHERE THE FIRST
17 PHASE II CLINICAL TRIALS WERE IN NEOADJUVANT BREAST
18 CANCER, AND THE SECOND PHASE CLINICAL TRIAL IS
19 DESIGNED TO IDENTIFY THE SUBSET OF REFRACTORY BREAST
20 CANCER PATIENTS WITH ACTIVATION OF THE NOTCH
21 SIGNALING PATHWAYS.

22 SO THIS IS A STELLAR TEAM, THE RIGHT TEAM
23 AND THE RIGHT SPACE AND EXPERTISE. THE MAJOR THREE
24 CONCERNS THAT THE REVIEWERS RAISED ARE ABOUT THE
25 FREQUENCY AND THE PREVALENCE OF THE DISEASE IN

BARRISTERS' REPORTING SERVICE

1 BREAST CANCER AND THE ABILITY TO HAVE A BIOMARKER
2 ASSAY TO SUBSET AND TO IDENTIFY THE PATIENT THAT
3 THEY ARE TARGETING.

4 SO BRIEFLY I WILL JUST GO THROUGH THESE
5 THREE POINTS. SO REVIEWERS AGREE THAT THE SIGNALING
6 PATHWAY, THE NOTCH SIGNALING PATHWAY, IS IMPORTANT
7 IN CANCER STEM CELLS, BUT THEY FELT THAT THERE WAS
8 LIMITED DATA THAT WAS PROVIDED ON THE ACTIVATION OF
9 THE TARGETING PATHWAY IN BREAST CANCER. THERE ARE
10 SOME DATA IN THE PRELIMINARY PROPOSAL THAT'S SHOWING
11 ONLY ONE CELL LINE OUT OF 20 CANCER STEM CELLS FROM
12 BREAST CANCER PATIENTS THAT HAVE SHOWN TO HAVE
13 ANTICANCER ACTIVITY WITH THE LEAD MONOCLONAL
14 ANTIBODIES. SO THEY WERE CONCERNED ABOUT JUST WHY
15 ONLY ONE CELL LINE FROM THESE PATIENTS.

16 THE SECOND THING, NO SUFFICIENT DATA TO
17 SUPPORT THE FREQUENCY AND THE PREVALENCE OF THE
18 ACTIVATION OF THE NOTCH PATHWAY IN STEM CELLS IN
19 BREAST CANCER. REVIEWERS WOULD LIKE TO SEE DATA ON
20 A WIDER NUMBER OF PRIMARY BREAST TUMOR ISOLATES.

21 AND THE LAST POINT THAT THE REVIEWERS WERE
22 CONCERNED IS REVIEWERS WERE QUESTIONING THE
23 FEASIBILITY OF THE NEOADJUVANT REFRACTORY BREAST
24 CANCER PHASE II CLINICAL TRIAL WITHOUT A BIOMARKER
25 ASSAY TO SCREEN AND IDENTIFY A SUBSET OF PATIENTS

BARRISTERS' REPORTING SERVICE

1 WITH ACTIVATION IN THE NOTCH SIGNALING PATHWAY.

2 THANK YOU.

3 CHAIRMAN THOMAS: COMMENTS FROM MEMBERS OF
4 THE BOARD. LET US HEAR FROM MEMBERS OF THE PUBLIC
5 WHO WISH TO SPEAK ON THIS.

6 MR. HASTINGS: GOOD AFTERNOON. I'M PAUL
7 HASTINGS. I'M CEO OF ONCOMED PHARMACEUTICALS. WE
8 HAD OUR CO-PI WITH US HERE THIS MORNING, DR. LAURA
9 ESSERMAN, FROM UCSF. SHE LEFT AFTER THREE HOURS. I
10 APOLOGIZE THAT SHE CAN'T BE HERE TO ADDRESS YOU.
11 I'M HOPING THAT MAYBE YOU'LL ALLOW MY COLLEAGUE
12 DR. HOEY TO READ HER STATEMENT TO PROVIDE SOME NEW
13 INFORMATION AND OVERCOME SOME OF THE QUESTIONS THAT
14 WERE RAISED BY THE REVIEWERS.

15 I'M A FORMER PRESIDENT OF GENZYME
16 THERAPEUTICS. WE DEVELOP DRUGS FOR HUNDREDS OF
17 PATIENTS, THOUSANDS OF PATIENTS PER DISEASE ENTITY.
18 SO A DISEASE LIKE TRIPLE NEGATIVE NOTCH
19 OVEREXPRESSION, CHEMO RESISTANT BREAST CANCER, WHICH
20 HAS AN INCIDENCE OF PROBABLY ABOUT 2500 NEW PATIENTS
21 DIAGNOSED PER YEAR IN THE U.S. AND THE SAME IN
22 EUROPE, IS ONE THAT WARRANTS ATTENTION. IT IS NOT A
23 SMALL MARKET. IT IS NOT A SMALL PATIENT POPULATION,
24 AND IT IS AN EXTREMELY HIGH UNMET MEDICAL NEED. AND
25 OUR CO-INVESTIGATOR, DR. LAURA ESSERMAN, HAS A

BARRISTERS' REPORTING SERVICE

1 CLINICAL TRIAL NETWORK IN PLACE CALLED I-SPY WHERE
2 THESE PATIENTS ARE COMING THROUGH EVERY SINGLE DAY
3 IN HUNDREDS OF CENTERS THAT SHE COLLABORATES WITH.

4 LET ME ALSO ADDRESS ONE RECENT DEVELOPMENT
5 IN OUR ANTICANCER STEM CELL COLLABORATION WITH
6 GLAXOSMITHKLINE. IN OUR DISCUSSIONS WITH CIRM
7 STAFF, IT WAS BROUGHT UP OVER AND OVER AGAIN THAT
8 ONE OF THE CONCERNS WAS THAT BECAUSE THIS NOTCH 1
9 ANTIBODY IS THE FIFTH ANTIBODY THAT THIS SMALL
10 START-UP COMPANY HAS PUT INTO THE CLINIC, THAT IT
11 MUST BE A LOWER PRIORITY THAN THE OTHER ANTIBODIES
12 IN OUR PIPELINE.

13 IND'S ARE THE LIFEblood OF SMALL
14 BIOTECHNOLOGY COMPANIES. WE HAVE FILED FOUR. EACH
15 ONE HAS BEEN APPROVED WITHIN 30 DAYS. EACH ONE HAS
16 ENROLLED PATIENTS 30 DAYS AFTER THAT. ALL OF THEM
17 ARE ANTICANCER STEM CELL MONOCLONAL ANTIBODIES. THE
18 NOTCH 1 ANTIBODY IS NO EXCEPTION. IT IS ACTUALLY
19 THE SUBJECT OF A RENEGOTIATION OF OUR AGREEMENT WITH
20 GSK WHERE THEY ACTUALLY MOVED UP MILESTONE PAYMENTS
21 IN ORDER TO MOVE THIS DRUG INTO THE CLINIC RAPIDLY
22 AND TO PAY FOR THE CO-FUNDING OF THIS DEVELOPMENT,
23 NOT ONLY WITH HEMATOLOGIC MALIGNANCIES, BUT ALSO
24 WITH THIS INDICATION, TRIPLE NEGATIVE CHEMO
25 RESISTANT NOTCH 1 OVEREXPRESSING PATIENTS WITH

BARRISTERS' REPORTING SERVICE

1 BREAST CANCER.

2 SO I THINK OUR TRACK RECORD IS PRETTY
3 GOOD. I ALSO HAVE TO SAY THAT WE'RE GOING TO KEEP
4 COMING BACK. WE FILED -- WE HAVE APPLIED FOR NINE
5 GRANTS FROM CIRM, BUT WE RECEIVED ZERO. AND WE'RE
6 JUST GOING TO KEEP COMING BACK TILL WE GET ONE, AND
7 WE HAVE ANOTHER COMING SOON.

8 SO I DO WANT YOU TO KNOW THAT THIS IS A
9 HIGH PRIORITY, NOT ONLY WITH US, BUT WITH GSK.
10 THERE WAS A LETTER FROM GSK THAT APPARENTLY CAUSED
11 SOME CONFUSION ABOUT WHETHER OR NOT THIS WAS AN
12 APPROVED INDICATION IN OUR ALLIANCE. I'M HERE TO
13 INFORM YOU THAT IT ABSOLUTELY IS. THE WAY THAT OUR
14 ALLIANCE WORKS IS THAT WE DEVELOP THESE DRUGS
15 THROUGH PHASE II AND GSK THEN OPTIONS THEM IN.
16 THEIR LETTER CLEARLY STATED THAT IF WE SHOULD FIND
17 PROOF OF CONCEPT IN OUR PHASE I AND PHASE II
18 CLINICAL TRIALS, THAT THEY WOULD FUND THE PHASE III
19 DEVELOPMENT.

20 THE OTHER THING I WANTED TO MENTION WAS WE
21 COULD START ENROLLING PATIENTS IN THIS TRIAL THIS
22 SUMMER. IN ORDER TO FULFILL OUR JOINT MISSION WITH
23 CIRM, RAPID CLINICAL PROOF OF CONCEPT WITH AN
24 ANTICANCER STEM CELL NOVEL TARGETED AGENT USING
25 EASILY DEVELOPED BIOMARKER AS EARLY AS IN THE

BARRISTERS' REPORTING SERVICE

1 EXPANSION COHORT OF OUR FIRST PHASE I CLINICAL
2 TRIAL. THANK YOU VERY MUCH.

3 CHAIRMAN THOMAS: THANK YOU. NEXT
4 SPEAKER, PLEASE.

5 DR. HOEY: I'M TIM HOEY. I'M THE PI FOR
6 THIS APPLICATION. SO I'D LIKE TO BRING TO YOUR
7 ATTENTION NEW INFORMATION FROM DATA GENERATED IN OUR
8 LABS OVER THE PAST SIX MONTHS THAT ADDRESS EACH OF
9 THE POINTS RAISED BY THE REVIEWERS AND SPECIFICALLY
10 THE POINTS RAISED BY DR. ABO.

11 SO WE'VE MADE SIGNIFICANT PROGRESS IN
12 DEVELOPING A BIOMARKER TEST THAT WE CAN USE TO
13 IDENTIFY PATIENTS WHO WOULD SPECIFICALLY BENEFIT
14 FROM THIS THERAPY. SO THAT WAS ONE OF THE POINTS
15 RAISED. THE FEASIBILITY OF DEVELOPING SUCH A TEST
16 WAS RAISED BY THE REVIEWERS, AND I'M HERE TO TELL
17 YOU THAT WE'VE DONE IT. IT USES A STRAIGHTFORWARD
18 FORMAT THAT'S STANDARD FOR DIAGNOSTICS, WHICH IS AN
19 IMMUNOHISTO CHEMISTRY TEST USING TUMOR BIOPSIES
20 WHICH ARE READILY AVAILABLE IN BREAST CANCER.

21 AND OUR PLAN IS TO USE THIS TEST EARLY IN
22 CLINICAL DEVELOPMENT TO SELECT PATIENTS IN THE
23 EXPANSION PHASE OF THE PHASE I-A. AND WE BELIEVE
24 THAT WE CAN SHOW CLINICAL BENEFIT IN THIS PHASE I-A
25 SETTING AND OBTAIN PROOF OF CONCEPT EARLY WITH A

BARRISTERS' REPORTING SERVICE

1 STEM CELL-DIRECTED AGENT WHICH WOULD FULFILL CIRM'S
2 MISSION AND ALSO WOULD BE VERY EXCITING FOR US
3 OBVIOUSLY.

4 USING THIS TEST, WE'VE ALREADY SCREENED
5 THOUSANDS OF SAMPLES, PRIMARY HUMAN TUMOR SAMPLES,
6 AND SHOWN A SIGNIFICANT NUMBER HAVE ACTIVATION IN
7 THE NOTCH PATHWAY, PARTICULARLY IN CHEMOREFRACTORY
8 BREAST CANCER PATIENTS. AND THIS SPEAKS TO ANOTHER
9 CRITICISM RAISED BY THE REVIEWERS ABOUT THE
10 FEASIBILITY OF CONDUCTING A CLINICAL TRIAL. SO WE
11 KNOW WE CAN FIND THESE PATIENTS THROUGH THE I-SPY
12 NETWORK HEADED BY DR. ESSERMAN, WHICH ALSO PROVIDES
13 ADDITIONAL RATIONALE FOR THIS PROGRAM IN BREAST
14 CANCER.

15 SO WE FEEL LIKE WE'VE REALLY ADDRESSED
16 EACH OF THE CRITICISMS RAISED BY THE REVIEW
17 COMMITTEE. AND TO REITERATE WHAT PAUL JUST SAID,
18 THIS COMPOUND IS GOING INTO THE CLINIC. WE'RE GOING
19 TO FILE AN IND NEXT MONTH. WE HAVE A PLAN TO SELECT
20 PATIENTS EARLY IN CLINICAL TRIALS. THROUGH OUR
21 LONG-STANDING COLLABORATION WITH DR. ESSERMAN, WE'VE
22 ASSEMBLED AN IDEAL TEAM TO BRING THIS AGENT FORWARD
23 THROUGH CLINICAL TESTING AND TO PROOF OF CONCEPT.
24 AND THE I-SPY CLINICAL TRIAL NETWORK HAS OVER 20
25 SITES WITH HUNDREDS OF PATIENTS PER YEAR THAT WOULD

BARRISTERS' REPORTING SERVICE

1 BENEFIT FROM THIS THERAPY THAT ARE IN THIS I-SPY
2 CLINICAL TRIAL NETWORK.

3 DR. ESSERMAN TOGETHER WITH DR. WOODFOLK AT
4 THE FDA HAS ESTABLISHED A PATH FORWARD WHERE THE
5 NEOADJUVANT SETTING CAN BE USED AS A PATH TO
6 ACCELERATED REGULATORY APPROVAL. WE HAVE
7 PARTNERSHIP WITH A LARGE PHARMACEUTICAL COMPANY.
8 AND SO THIS CANCER STEM CELL-DIRECTED ANTIBODY IS
9 READY FOR THE CLINIC AND IDEALLY POSITIONED TO
10 OBTAIN CLINICAL PROOF OF CONCEPT EARLY AND
11 REGULATORY APPROVAL. THANK YOU.

12 CHAIRMAN THOMAS: THANK YOU. ADDITIONAL
13 COMMENTS FROM THE PUBLIC? HEARING NONE, COMMENTS
14 FROM THE BOARD.

15 DR. JUELSGAARD: SO THIS IS A QUESTION, I
16 GUESS, FOR STAFF RIGHT NOW, ALTHOUGH PERHAPS FOR THE
17 COMPANY. SO IN READING THE APPEAL, IT SAYS THIS IS
18 A HIGH PRIORITY PROGRAM AT ONCOMED SUPPORTED BY OUR
19 COLLABORATION WITH GSK, AND WE ARE FILING AN IND FOR
20 OMP52M51 NEXT MONTH. IS THE STUDY THAT'S GOING TO
21 BE CONDUCTED ASSUMING THAT THE IND GOES FORWARD? IS
22 THAT IN TRIPLE NEGATIVE BREAST CANCER, OR IS THAT IN
23 SOME OTHER INDICATION?

24 DR. ABO: THE FIRST INDICATION IS ALL
25 COMERS SOLID CANCER. IT'S NOT A BREAST CANCER.

BARRISTERS' REPORTING SERVICE

1 DR. JUELSGAARD: SO THE ANTIBODY IS TO BE
2 DEVELOPED MORE WIDELY FOR OTHER CANCERS, BUT THE ASK
3 HERE FROM ONCOMED IS FOR FUNDING FOR TRIPLE NEGATIVE
4 BREAST CANCER; IS THAT RIGHT?

5 DR. FEIGAL: LET ME JUST ANSWER THE
6 QUESTION. THERE ARE FOUR CLINICAL TRIALS THAT ARE
7 PART OF THE PROPOSAL. THE FIRST TWO ARE IN
8 UNSELECTED SOLID CANCER PATIENTS. AND THEN THE NEXT
9 TWO ARE IN BREAST CANCER PATIENTS. AND ONE IS THE
10 NEOADJUVANT SETTING AND ONE ARE THOSE PATIENTS WHO
11 HAVE RESIDUAL TUMOR AFTER NEOADJUVANT THERAPIES. SO
12 THERE ARE FOUR.

13 DR. JUELSGAARD: SO OUR FUNDING WOULD GO
14 TO ALL OF THOSE PROGRAMS; IS THAT RIGHT? AND IF ANY
15 ONE OF THEM WERE SUCCESSFUL, THEY WOULD BE PART OF
16 WHAT WE MIGHT EXPECT A FINANCIAL RETURN ON?

17 DR. HOEY: TO BE CLEAR, WE ASKED FOR
18 FUNDING FOR PART OF THE PHASE I CLINICAL
19 DEVELOPMENT, SPECIFICALLY FOR DEVELOPMENT IN BREAST
20 CANCER. WE FEEL THIS IS A HIGH PRIORITY INDICATION
21 THAT HAS ABILITY TO GENERATE EARLY PROOF OF CONCEPT.
22 AND WE PLAN SELECT PATIENTS EARLY IN CLINICAL
23 DEVELOPMENT IN PHASE I-A, AND THE PHASE I-B WOULD
24 ALSO BE IN BREAST CANCER PATIENTS IN COMBINATION
25 WITH CHEMOTHERAPY. SO THIS IS A STANDARD WAY THAT

BARRISTERS' REPORTING SERVICE

1 ONE DEVELOPS ONCOLOGY DRUGS AND BASICALLY A WAY TO
2 GET TO AN EFFICACIOUS DOSE AS QUICKLY AS POSSIBLE
3 AND THEN EXPAND IN THE INTENDED TO TREAT POPULATION.
4 SO WE FEEL LIKE WE CAN DELIVER QUICK PROOF OF
5 CONCEPT.

6 DR. JUELSGAARD: JUST SO I'M CLEAR, SO THE
7 FUNDING THAT YOU'RE ASKING FOR IS IN BREAST CANCER?

8 DR. HOEY: CORRECT.

9 DR. JUELSGAARD: BUT CONCURRENTLY WITH
10 THAT, YOU'RE ALSO GOING TO BE STUDYING OTHER
11 CANCERS, OTHER SOLID TUMOR CANCERS; IS THAT RIGHT?

12 DR. HOEY: CORRECT. AND ALSO
13 HEMATOLOGICAL CANCERS.

14 MR. JUELSGAARD: RIGHT. BUT THE ONLY
15 FOCUS HERE IS ON BREAST CANCER. SO THOSE OTHER
16 CANCERS ARE SORT OF OUTSIDE THE PURVIEW OF THIS
17 REQUEST.

18 DR. HOEY: CORRECT.

19 DR. STEWARD: I'D LIKE TO UNDERSTAND
20 EXACTLY WHAT'S IN THE PROPOSAL BECAUSE THAT'S WHAT
21 WE HAVE TO REVIEW. COULD YOU CLARIFY THAT, DR.
22 FEIGAL?

23 DR. FEIGAL: WELL, WHAT'S IN THE PROPOSAL
24 ARE TWO PHASE I CLINICAL TRIALS, A PHASE I-A AND
25 PHASE I-B. THE PHASE I -- WHAT HE'S SAYING IS

BARRISTERS' REPORTING SERVICE

1 ABSOLUTELY CORRECT. ONCOLOGY DEVELOPMENT, TYPICALLY
2 YOU WILL START WITH AN UNSELECTED PATIENT POPULATION
3 TO GET SAFETY INFORMATION. AND THEN AFTER THE
4 SAFETY IS ESTABLISHED, YOU CAN GO INTO THE
5 THERAPEUTIC AREA WHERE YOU THINK YOU MIGHT HAVE THE
6 BEST BETS.

7 THE FIRST TWO TRIALS, AT LEAST WHAT I'VE
8 SEEN IN THE PROPOSAL, ARE UNSELECTED SOLID CANCER
9 PATIENTS. THE I-A IS MONOTHERAPY. THE I-B IS A
10 COMBINED THERAPY WITH WEEKLY PACLITAXEL. THE THIRD
11 AND FOURTH TRIALS ARE PHASE II TRIALS IN BREAST
12 CANCER.

13 DR. HASTINGS: MAY WE RESPOND TO THAT
14 BECAUSE IT DOESN'T LINE UP. FIRST OF ALL, WE'RE
15 ASKING FOR ONE-THIRD OF THE FUNDING OF THE PHASE I-A
16 TRIAL. WE EXPECT THAT ONE-THIRD OF THE PATIENTS IN
17 THAT TRIAL WILL PROBABLY BE PATIENTS WITH THIS
18 INDICATION. IF WE WANT TO DISCUSS OTHER WAYS OF
19 FINANCING, I'M HAPPY TO DO THAT. WE DIDN'T GO INTO
20 THIS THING THINKING THAT WE'RE GOING TO GET CAUGHT
21 UP ON A FINANCING QUESTION. SO ONE-THIRD OF THE
22 PATIENTS WOULD BE THIS POPULATION. AND IT IS NOT
23 TRUE THAT WE WOULD NOT USE THE PREDICTIVE BIOMARKER
24 IN THE PHASE I-A. ONCE WE DOSE ESCALATE AND WE FIND
25 AN ACCEPTABLE DOSE THAT IS NOT -- THAT'S THERAPEUTIC

BARRISTERS' REPORTING SERVICE

1 AND NOT TOXIC, WE WILL DO PREDICTIVE BIOMARKER
2 SELECTION USING LAURA ESSERMAN'S SITE TO ENROLL
3 BREAST CANCER PATIENTS IN THE EXPANSION COHORT. I
4 BELIEVE THAT'S IN THE PROPOSAL. IF IT'S NOT, THEN
5 THAT'S OUR MISTAKE, BUT THAT'S EXACTLY WHAT WE'LL BE
6 DOING.

7 AND IN PHASE I-B IN COMBINATION WITH TAXOL
8 AS WELL. SO THERE ARE NO TRIALS IN THIS PROPOSAL
9 THAT WILL NOT BE USING THIS VERY SIMPLE TO DEVELOP
10 BIOMARKER FOR NOTCH ICD. WE'RE ASKING FOR A PORTION
11 OF THE TRIAL THAT WOULD BE APPORTIONED TO THAT
12 INDICATION WHEN IT BECOMES COMMERCIALIZED. WHAT
13 WE'VE AGREED TO WITH THE CIRM STAFF IS THAT WE WOULD
14 BE HAPPY TO LOOK AT, IF YOU WANT TO WORK ON THE
15 FUNDING AND WHAT YOU THINK YOU SHOULD FUND VERSUS
16 WHAT WE'VE ASKED FOR, WE'D BE HAPPY TO TALK ABOUT
17 THAT, BUT THIS, WE THINK, IS A VERY FAIR PROPOSAL.

18 DR. JUELSGAARD: CAN I HAVE ONE FOLLOW-UP
19 QUESTION BEFORE YOU LEAVE? SO AS YOU INDICATED,
20 GSK, GLAXOSMITHKLINE, HAS AN OPTION TO OPT IN ON
21 THESE ANTIBODIES FOR LATE STAGE DEVELOPMENT; IS THAT
22 RIGHT?

23 DR. HASTINGS: YES.

24 MR. JUELSGAARD: SO WITH RESPECT TO THIS
25 PARTICULAR ANTIBODY, YOU'VE GOT MORE THAN ONE

BARRISTERS' REPORTING SERVICE

1 INDICATION THAT YOU ARE STARTING DOWN THE ROAD. IF
2 GSK OPTS IN, DO THEY OPT IN FOR THE ANTIBODY FOR ALL
3 INDICATIONS OR ONLY FOR SPECIFIC INDICATIONS?

4 DR. HASTINGS: SO GSK WILL OPT IN FOR THE
5 ANTIBODY IN THOSE INDICATIONS THAT THEY WILL MOVE
6 INTO PHASE III.

7 MR. JUELSGAARD: SO IT'S ON AN
8 INDICATION-BY-INDICATION BASIS?

9 DR. HASTINGS: IT'S ON AN INDICATION BY
10 INDICATION. BY THE WAY, THE MORASS OF GOING THROUGH
11 A LARGE PHARMA COMPANY TO GET THEM TO AGREE FOR US
12 TO PUT A GRANT IN HERE WAS AMAZING, BUT THEY
13 ACTUALLY WROTE A LETTER AND SUPPORTED IT. SO I GIVE
14 THEM A LOT OF CREDIT. THEY ACTUALLY HAVE A STEM
15 CELL UNIT INSIDE GSK. THEY'RE REALLY INTERESTED IN
16 THIS SPACE. FOR THEM TO HAVE CARVED UP OUR
17 AGREEMENT THIS WAY TO ALLOW US TO DO THIS SO THAT WE
18 COULD HAVE ANOTHER SHOT ON GOAL THAT IF THEY
19 COMMERCIALIZE IT, THEY WOULD PAY FOR, WHICH THEY
20 WROTE IN THE LETTER, WAS A PRETTY AMAZING THING. AS
21 YOU KNOW FROM YOUR EXPERIENCE IN THE INDUSTRY, THAT
22 THAT'S HARD TO DO ONCE YOU DO A DEAL SINCE YOU'RE A
23 DEAL MAKER YOURSELF.

24 DR. ABO: JUST TO CLARIFY THIS POINT ON
25 INDICATIONS, SPECIFICALLY THERE IS A CONFUSION HERE

BARRISTERS' REPORTING SERVICE

1 BECAUSE IN THE APPLICATION IT SAID PHASE I-A
2 SPECIFICALLY TO STUDY THE ENROLLMENT OF 40 ADVANCED
3 SOLID TUMOR PATIENTS. I UNDERSTAND THAT THIS COULD
4 BE MODIFIED AND SOME OF THESE PATIENTS WOULD BE
5 BREAST CANCER, BUT THE PHASE I-A AND PHASE I-B
6 STUDY, THAT'S WHERE THE REVIEWER CONCERN WAS NOT
7 FOCUSED ON BREAST CANCER PATIENTS. SO...

8 DR. STEWARD: SO, AGAIN, I JUST WANT TO
9 TRY TO CLARIFY EXACTLY WHERE THERE IS A CONVERGENCE
10 HERE. AND AS I HEAR IT, THAT THE PROPOSAL ITSELF
11 DOES NOT COMPLETELY MATCH WHAT IS BEING SAID NOW; IS
12 THAT CORRECT, DR. FEIGAL?

13 DR. FEIGAL: I'M JUST SAYING THE
14 INFORMATION IN THE PROPOSAL, AT LEAST TO THE
15 REVIEWERS WHO REVIEWED IT, IT APPEARED TO BE THE
16 FIRST TWO PHASE I CLINICAL TRIALS WERE IN AN
17 UNSELECTED SOLID CANCER POPULATION. AND THAT WHAT
18 THE REVIEWERS, AT LEAST, THOUGHT WAS THAT IT WAS AN
19 EXPLORATORY EVALUATION OF A BIOMARKER, THAT THEY DID
20 NOT HAVE A BIOMARKER. SO I THINK IF THERE IS
21 DIFFERENT DATA OR A DIFFERENT INTERPRETATION, THAT
22 IS WHAT THE REVIEWERS THOUGHT.

23 DR. STEWARD: I JUST WANT TO MAKE SURE
24 THAT WE ARE ALL TALKING ABOUT REVIEWING A PROPOSAL
25 HERE. THAT IS REALLY WHAT WE NEED TO DO AND WE

BARRISTERS' REPORTING SERVICE

1 CAN'T REWRITE THE PROPOSAL IN AN AD HOC WAY. THAT
2 WOULD BE, I THINK, A DISADVANTAGE TO EVERYONE ELSE
3 WHO ISN'T HERE TO REWRITE PROPOSALS.

4 DR. HASTINGS: ONE POINT OF CLARIFICATION.
5 DR. ESSERMAN'S SITE IS IN THE PHASE I-A CLINICAL
6 TRIAL. SO IT WOULD BE IMPOSSIBLE NOT TO ENROLL
7 BREAST CANCER PATIENTS.

8 DR. FEIGAL: I DON'T THINK ANYBODY IS
9 SAYING THAT BREAST CANCER PATIENTS MIGHT BE A
10 SIGNIFICANT PORTION OF THE PHASE I CLINICAL TRIAL.
11 SO I COMPLETELY UNDERSTAND. I TOO AM AN ONCOLOGIST,
12 SO I COMPLETELY UNDERSTAND THAT.

13 PERHAPS IT MIGHT BE HELPFUL IF YOU GO INTO
14 CONFIDENTIAL SESSION. IT WOULD BE SOMETHING WE
15 COULD SHOW YOU AS PART OF THE PROPOSAL.

16 CHAIRMAN THOMAS: FAIR ENOUGH. THAT
17 SOUNDS LIKE THE ORDER OF THE DAY. WE ADD THIS TO
18 THE CLOSED SESSION LIST. THANK YOU, DR. ABO.

19 ARE THERE ANY OTHER PROPOSALS THAT MEMBERS
20 OF THE BOARD WOULD LIKE TO ASK QUESTIONS ABOUT?

21 YES, THERE ARE. HEARING NONE, MR. HARRISON, I
22 BELIEVE THE NEXT ORDER WOULD BE TO HAVE PUBLIC
23 COMMENT ON ANY OF THOSE EXTRAORDINARY PETITIONS THAT
24 WE HAVE NOT DISCUSSED.

25 MR. HARRISON: PUBLIC COMMENT ON ANY OF

BARRISTERS' REPORTING SERVICE

1 THE APPLICATIONS THAT WE HAVEN'T DISCUSSED, WHETHER
2 THEY ARE ACCOMPANIED BY AN EXTRAORDINARY PETITION OR
3 NOT.

4 CHAIRMAN THOMAS: DO WE HAVE PUBLIC
5 COMMENT ON ANY OF THE OTHER APPLICATIONS? AGAIN,
6 I'D LIKE TO ASK THAT YOU KEEP ANY COMMENTS TO THREE
7 MINUTES PER PERSON.

8 DR. GAZIT: GOOD EVENING. MY NAME IS DON
9 GAZIT. I'M THE PI OF THE PROPOSAL DR2A-05288. IT'S
10 TREATMENT OF VERTEBRAL COMPRESSION FRACTURES WITH
11 GENETICALLY MODIFIED MESENCHYMAL STEM CELLS.

12 CHAIRMAN THOMAS: PLEASE PROCEED.

13 DR. GAZIT: SO I'M THE SCIENTIST DIRECTING
14 THIS PROGRAM AT CEDARS-SINAI AND THE PI ON THIS
15 GRANT. SO JUST AS A BRIEF INTRODUCTION, OVER THE 20
16 YEARS MY GROUP HAS SHOWN IN ABOUT 16 PUBLICATIONS
17 THAT MESENCHYMAL STEM CELLS GENETICALLY ENGINEERED
18 TO OVEREXPRESS BMP GENE ARE EXTREMELY EFFICIENT IN
19 BONE REGENERATION AND FRACTURE REPAIR. SPECIFICALLY
20 RELATED TO THIS PROPOSAL, TREATING THE VERTEBRAL
21 COMPRESSION FACTORS, WE HAVE SHOWN THAT BMP6
22 ENGINEERED MESENCHYMAL STEM CELL ACCELERATED BONE
23 REGENERATION AND INDUCED COMPLETE BONE DEFECT REPAIR
24 IN RAT AND PIG MODELS.

25 OUR RAT STUDY WAS PUBLISHED IN THE JOURNAL

BARRISTERS' REPORTING SERVICE

1 OF *MOLECULAR PHARMACEUTICS*, AND THE RESULT OF THE
2 PIG STUDY WERE INCLUDED IN A GRANT PROPOSAL. FROM
3 FIGURE 1, THIS IS QUITE CLEAR THAT RESTORATION OF
4 THE NORMAL VERTEBRAL ARCHITECTURE WAS INDEED
5 ACHIEVED BY THE IMPLANTATION OF BMP6-*MSC* IN THE
6 FRACTURE SITE.

7 THE REVIEWERS ACKNOWLEDGED THAT THE
8 RATIONALE THAT *MSC* MODIFIED TO OVEREXPRESS BMP6 WILL
9 INDUCE BONE FORMATION IS VALID, AND THAT UTILIZING
10 *MSC*'S TO PRODUCE BMP6 IS A GOOD APPROACH AND
11 OVERCOME THE MANUFACTURING CHALLENGES PRODUCING
12 RECOMBINANT PROTEIN.

13 BMP AS A THERAPEUTIC, THE REVIEWERS
14 INDICATED THE THERAPEUTIC CANDIDATE WOULD BETTER
15 ADDRESS THE HEALING OF NON-UNION FRACTURES. WE
16 WOULD LIKE TO KNOW THAT, IF SUCCESSFUL, IN THE
17 TREATMENT OF VCF, THE USE OF BMP6 AND *MSC*'S COULD BE
18 FURTHER DEVELOPED FOR USE OF OTHER BONE LOSS
19 CONDITIONS AS PROPOSED BY THE REVIEWERS. WE BELIEVE
20 THAT THE UNMET MEDICAL NEED IS GREATEST FOR PATIENTS
21 WITH SYMPTOMATIC OSTEOPOROTIC COMPRESSION FRACTURES.

22 FURTHERMORE, THE REVIEW STATED THAT VERY
23 LIMITED DATA IN APPLICATION SUPPORT THE BMP6 IS
24 SUPERIOR TO BMP2; HOWEVER, WE PROVIDED SUBSTANTIAL
25 EXPERIMENTAL DATA SHOWING THAT THE OVEREXPRESSION OF

BARRISTERS' REPORTING SERVICE

1 BMP6 IN MSC'S YIELDED SIGNIFICANTLY MORE BONE
2 FORMATION IN A SHORTER TIME FRAME, AND THE NEW DATA
3 IS THAT IT WAS PUBLISHED RECENTLY IN THE *JOURNAL OF*
4 *GENE THERAPY*.

5 OUR GRANT TARGETS THE USE OF GENETICALLY
6 MODIFIED STEM CELLS WITH A GROWTH FACTOR TO
7 STIMULATE HEALING OF VERTEBRAL COMPRESSION FRACTURE.
8 WE HAVE NOW DEMONSTRATED OUR ABILITY SUCCESSFULLY IN
9 TWO ANIMAL MODELS. WE HAVE A CIRM EARLY
10 TRANSLATIONAL GRANT WHICH AIDED TO DEVELOP THIS
11 SYSTEM. WE WERE TOLD THAT --

12 MR. HARRISON: EXCUSE ME. THAT'S THREE
13 MINUTES, SO IF YOU COULD WRAP UP YOUR COMMENTS, WE'D
14 APPRECIATE IT.

15 DR. GAZIT: SO IN ORDER TO ADDRESS THE
16 ISSUE OF THE VCF AND THE IMPORTANCE OF DEVELOPING
17 STEM CELL THERAPY, I WOULD LIKE TO INVITE MY CO-PI
18 DR. HYUN BAE, WHO IS A SPINE SURGEON, TO CONTINUE TO
19 ADDRESS THIS ISSUE. THANK YOU.

20 DR. BAE: SO GOOD MORNING. MY NAME IS
21 HYUN BAE. I'M A SPINAL SURGEON, ORTHOPEDIC SPINAL
22 SURGEON AT CEDARS-SINAI. I'VE BEEN PRACTICING FOR
23 14 YEARS AND REALLY INVOLVED IN BASIC SCIENCE AND
24 TRANSLATIONAL RESEARCH FOR MOST OF THOSE YEARS.

25 I'M TALKING TO YOU ABOUT THIS PROPOSAL

BARRISTERS' REPORTING SERVICE

1 ABOUT VERTEBRAL COMPRESSION FACTORS. I THINK ONE OF
2 THE REVIEWERS FELT THAT THIS WAS POSSIBLY NOT AN
3 UNMET NEED. I WANT TO TELL YOU THAT IT'S AN
4 ABSOLUTE MISCONCEPTION THAT ALL SPINAL COMPRESSION
5 FRACTURES HEAL. IT'S A VERY DISABLING DISEASE.
6 WE'RE TALKING ABOUT THE SKELETAL SPINE, WHAT MAKES
7 US UPRIGHT, WHAT MAKES US HUMAN. AND HAVING A
8 FRACTURE IN THE BACKBONE DOES HURT INCREDIBLY.

9 I SENT YOU A LETTER FROM BETTY MARRIOTT,
10 ONE OF MY PATIENTS. SHE ACTUALLY WAS A TEACHER.
11 SHE ENDURED TWO CANCERS, ENDURED CHEMOTHERAPY FOR
12 SEVEN MONTHS, HAD A LUNG RESECTION, AND AFTERWARDS
13 WAS STILL ABLE TO TEACH UNTIL ABOUT THREE YEARS
14 LATER WHERE SHE FELL, SUFFERED TWO COMPRESSION
15 FRACTURES, AND REALLY THAT CHANGED HER LIFE.
16 STORIES LIKE THAT ARE NOT UNCOMMON. SHE'S NOW IN A
17 WHEELCHAIR, BENT OVER, AND REALLY UNABLE TO
18 FUNCTION.

19 VCF'S OCCUR AT A RATE OF 750,000 PER YEAR,
20 AND APPROXIMATELY 150,000 OF THESE DO NOT HEAL.
21 THEY REQUIRE HOSPITALIZATION AND THEN LONG-TERM
22 NURSING CARE. WHAT'S MOST NOTABLE IS IS THAT THE
23 PROBLEM IS THAT THESE PATIENTS ARE ELDERLY, THEY'RE
24 OSTEOPOROTIC, AND THEY'RE NOT THEIR BEST PATIENT
25 ADVOCATE. THEY GET NEGLECTED. THEY GET NEGLECTED

BARRISTERS' REPORTING SERVICE

1 FOR TWO REASONS. THEY CHERISH THEIR INDEPENDENCE,
2 AND THEY DON'T WANT TO ASK FOR HELP. NO. 2,
3 PHYSICIANS NEGLECT THEM BECAUSE WE HAVE NO GOOD,
4 EFFECTIVE TREATMENT FOR THEM.

5 THE AMERICAN ACADEMY OF ORTHOPEDIC SURGERY
6 PUT OUT A GUIDELINE OF HOW TO TREAT SYMPTOMATIC
7 COMPRESSION FRACTURES, AND THEY COULD NOT RECOMMEND
8 ONE SINGLE THERAPY. SO IT IS AN INCREDIBLE UNMET
9 CLINICAL NEED.

10 I THINK THAT OUR PROPOSAL WAS VERY WELL
11 WRITTEN. THE REVIEWERS SUGGESTED THAT WE ACTUALLY
12 WERE ABLE TO MEET THE GUIDELINES AND APPROACH
13 CLINICAL IND IN FOUR YEARS. I THINK OUR BIGGEST
14 PROBLEM WAS MAYBE THEY DIDN'T REALLY REALIZE THE
15 UNMET NEED OF VERTEBRAL COMPRESSION FRACTURES. I
16 THINK THAT TREATING SKELETAL FRACTURES IN GENERAL IS
17 SOMETHING THAT WE'LL ALWAYS HAVE. IN TEN YEARS OUR
18 POPULATION OVER 65 WILL DOUBLE, AND WITH THAT THE
19 INSTANCE OF VERTEBRAL COMPRESSION FRACTURES IS
20 EXPECTED TO GO UP 50 PERCENT.

21 CURRENTLY IN 2005 THE ECONOMIC BURDEN OF
22 TREATING PATIENTS WITH VERTEBRAL COMPRESSION
23 FRACTURES WAS ABOUT \$17 BILLION. SO WITH THAT, I
24 ASK YOU TO PLEASE LOOK AT OUR EXTRAORDINARY PETITION
25 AND CONSIDER THAT FOR REVIEW. THANK YOU.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: MR. HARRISON, SHOULD WE
2 PROCEED TO GET A BRIEF STAFF BRIEFING, OR HOW DO WE
3 GO HERE SINCE THIS IS SOMETHING THAT WAS NOT BROUGHT
4 UP BY THE BOARD?

5 MR. HARRISON: IF ANY MEMBER OF THE BOARD
6 WOULD LIKE TO HEAR MORE ABOUT THIS APPLICATION, YOU
7 CAN MAKE THAT REQUEST OF STAFF NOW. IF NOT, WE
8 SHOULD MOVE ON TO THE NEXT PUBLIC COMMENT.

9 CHAIRMAN THOMAS: DEAN PIZZO REQUESTS THAT
10 WE HEAR IT, SO COULD WE HAVE STAFF GIVE A BRIEF
11 PRESENTATION ON THIS APPLICATION?

12 DR. STEFFEN: VERY BRIEFLY, THIS PROPOSAL
13 IS FOCUSED ON THE PRECLINICAL DEVELOPMENT OF THE
14 ALLOGENEIC MESENCHYMAL STEM CELLS, MSC'S, TO TREAT
15 VERTEBRAL COMPRESSION FRACTURES. YOU CAN READ IN
16 THE SUMMARY THE BACKGROUND ON WHAT'S BEEN GOING ON
17 IN THE FIELD IN THE LAST FEW YEARS.

18 THE CANDIDATE MSC'S WILL BE GENETICALLY
19 MODIFIED USING A NONVIRAL TECHNIQUE TO OVEREXPRESS A
20 BONE-FORMING GENE, BONE MORPHOGENIC PROTEIN 6, WHICH
21 IS BMP6. AND THE CONCEPT IS BASED ON THE
22 APPLICANT'S PRECLINICAL OBSERVATIONS WHICH HE
23 ARTICULATED THAT MSC'S SECRETE BMP PROTEIN AND
24 PROMOTE BONE FORMATION IN SEVERAL MODELS OF BONE
25 LOSS.

BARRISTERS' REPORTING SERVICE

1 THE KEY STRENGTHS OF THE APPLICATION THAT
2 WERE HIGHLIGHTED BY THE GRANTS WORKING GROUP AT THE
3 REVIEW INCLUDED THE RATIONALE THAT MSC'S THAT
4 PRODUCE BMP6 WILL CAUSE BONE FORMATION IS VALID.
5 THE MSC'S, THE STRATEGY TO USE MSC'S TO PRODUCE THE
6 GROWTH FACTOR OVERCOME SOME TECHNICAL CHALLENGES OF
7 MANUFACTURING AND DELIVERING A RECOMBINANT GROWTH
8 FACTOR. AND THE PI HAS A LONG HISTORY IN THIS AREA
9 AND HAS ASSEMBLED A GOOD TEAM, GOOD COLLABORATORS,
10 AND THERE'S SOME INDUSTRY PARTICIPATION.

11 THE WEAKNESSES THAT THEY HIGHLIGHTED WERE
12 THAT THEY JUST FELT THE INDICATION OF WORKING NEAR
13 THE SPINE WAS TOO HIGH RISK. THE MAJOR RISK OF THIS
14 THERAPY WOULD BE BONY OVERGROWTH. AND IN THE CLOSE
15 SPACE NEXT TO THE SPINAL CORD, THEY JUDGE THAT THAT
16 COULD BE CATASTROPHIC.

17 THEY DID SUGGEST THAT IT WOULD BE MORE
18 TOLERABLE IN OTHER INDICATIONS, SUCH AS A FRACTURE
19 NONUNION IN ONE OF THE LONG BONES WHERE THERE
20 WOULDN'T BE NEUROLOGIC INJURY. THE PROJECT WAS
21 JUDGED NOT READY TO BEGIN THE PRECLINICAL
22 DEVELOPMENT. THE DATA WAS NOT PRESENTED TO SHOW
23 RESTORATION OF THE ARCHITECTURE, AND I THINK THERE'S
24 MAYBE A DIFFERENCE OF INTERPRETATION WHAT WAS MEANT
25 BY THE RESTORATION OF THE ARCHITECTURE.

BARRISTERS' REPORTING SERVICE

1 THERE WAS A DISCUSSION BY THE REVIEW GROUP
2 THAT WHAT HAPPENS WHEN THE VERTEBRAES COLLAPSE IS
3 THEY COLLAPSE DOWN INTO A WEDGE SHAPE. AND THE
4 ANIMAL MODELS IN THE APPLICATION WERE USING A DRILL,
5 A HOLE WHERE THEY DRILL A HOLE INTO THE VERTEBRA AND
6 THEN REPAIR THAT. SO THOSE WERE A DISCONNECT
7 BETWEEN THE GRANTS WORKING GROUP AND THE DATA
8 PRESENTED.

9 THE TARGET PRODUCT PROFILE, NOW, THIS IS A
10 TOOL IN DRUG DEVELOPMENT THAT IS USED TO ARTICULATE
11 WHERE ARE WE GOING WITH THIS PRODUCT, WHAT IS THE
12 GOAL. AND THAT WAS JUDGED TO BE VAGUE ON MANY
13 IMPORTANT DETAILS, SUCH AS THE SPECIFIC CLINICAL
14 INDICATIONS, SYMPTOMATIC OR ASYMPTOMATIC, ACUTE
15 VERSUS CHRONIC, OSTEOPOROTIC VERSUS TRAUMATIC
16 PATIENTS. AND YOU CAN READ THOSE IN THE FIRST
17 SECTION OF THE REVIEW SUMMARY. THERE ARE ABOUT SIX
18 COMMENTS.

19 THE PLAN, THE PRECLINICAL TOXICITY WITH
20 THE ACTUAL -- PRECLINICAL TOXICITY WOULD HAVE TO BE
21 CONDUCTED WITH THE ACTUAL INTENDED PRODUCT. THE
22 APPLICANT MAKES REFERENCE THAT THEY'RE GOING TO
23 REFERENCE A DRUG MASTER FILE OF THE CELL SOURCE;
24 HOWEVER, THE ACTUAL CLINICAL PRODUCT IS GENE
25 MODIFIED. SO YOU HAVE TO DO CLINICAL TOXICITY WITH

BARRISTERS' REPORTING SERVICE

1 THAT. THAT WAS THOUGHT TO BE A GAP THE PLAN.

2 THERE WAS PROGRAMMATIC DISCUSSION TO MOVE
3 THIS APPLICATION FROM TIER II TO TIER III. THEY
4 FELT THE COMPLICATED THERAPY WAS NOT STRONG COMPARED
5 TO PREVENTIVE MEASURES THAT ARE IN DEVELOPMENT OR ON
6 THE MARKET RIGHT NOW. AND THE CHANCE OF A
7 MEANINGFUL CLINICAL BENEFIT WAS LOW, AND THERE WAS
8 NO MODEL ARTICULATED IN THE APPLICATION TO ADDRESS
9 AN OUTCOME THAT WOULD BE SUGGESTIVE OF A CLINICAL
10 BENEFIT.

11 DR. BAE: CAN I COMMENT ON THAT?

12 CHAIRMAN THOMAS: BRIEFLY.

13 DR. BAE: I'M INVOLVED IN FIVE CLINICAL
14 TRIALS CURRENTLY USING BMP OR STEM CELLS FOR DIRECT
15 INJECTION INTO THE SPINAL COLUMN IN PATIENTS FOR
16 CONDITIONS OF DEGENERATIVE DISK DISEASE, WHICH IS
17 JUST LOW BACK PAIN. SO IF THAT'S REALLY WHAT KILLED
18 THIS GRANT, THEN I HAVE TO SAY THAT THAT PROBABLY
19 SHOULD BE REVIEWED AGAIN BECAUSE CURRENTLY THERE ARE
20 FIVE CLINICAL TRIALS INVOLVING EITHER STEM CELLS OR
21 DIRECT BMP INJECTIONS INTO THE SPINAL COLUMN, USE IN
22 THE CERVICAL SPINE AROUND THE SPINAL CORD AS WELL AS
23 THE LUMBAR SPINE. THAT'S NO. 1.

24 I THINK IF THAT'S THE MAIN CONCERN, I
25 WOULD LIKE TO ADDRESS THAT. I THINK THAT THE

BARRISTERS' REPORTING SERVICE

1 OTHERS, AS FAR AS THE MASTER FILE WITH THE FDA, WE
2 BASICALLY PUT THAT IN THERE BECAUSE WE DO HAVE A
3 GRAY CORPORATE PARTNER WHO HAS A GREAT STEM CELL
4 SOURCE. THEY'RE ALREADY IN CLINICAL TRIALS. THEY
5 DO HAVE A MASTER FILE, AND WE BASICALLY WANTED TO
6 USE THAT DATA KNOWING THAT WE COULD USE THAT DATA
7 AND ALSO PROVIDE OUR OWN TOXICITY SCREEN TO
8 BASICALLY GET INTO IND. I THINK THAT'S ALL. THANK
9 YOU.

10 CHAIRMAN THOMAS: THANK YOU, DOCTOR.
11 BETTINA OR ALAN OR ELLEN, WERE THERE ANY OTHER
12 ELEMENTS OF THIS EXTRAORDINARY PETITION THAT
13 PRESENTED NEW DATA?

14 DR. TROUNSON: NO, CHAIR. IN MY MIND, NO
15 SIGNIFICANT MATTER OF SIGNIFICANCE. NO NEW MATTER
16 OF SIGNIFICANCE.

17 DR. STEFFEN: I CONCUR. THERE WERE NO NEW
18 DATA, AND THERE WERE SOME DIFFERENCES IN SCIENTIFIC
19 OPINION.

20 CHAIRMAN THOMAS: OKAY. IS THERE ANY
21 COMMENT BY MEMBERS OF THE BOARD? ANYBODY HAVE ANY
22 MOTION TO PRESENT? HEARING NONE, THEN I BELIEVE
23 THAT THIS EXTRAORDINARY PETITION IS DENIED, CORRECT,
24 MR. HARRISON?

25 MR. HARRISON: NO. WE'LL JUST TAKE UP

BARRISTERS' REPORTING SERVICE

1 THIS APPLICATION ALONG WITH ALL THE OTHER
2 APPLICATIONS WHEN WE COMPLETE.

3 CHAIRMAN THOMAS: WE VOTE. OKAY. ALL
4 RIGHT. THANK YOU.

5 IS THERE ANY PUBLIC COMMENT ON ANY OF THE
6 OTHER APPLICATIONS? THREE MINUTES OR LESS, PLEASE.

7 MR. BRESGI: I AM HERE TO TALK ABOUT DR.
8 KLASSEN'S PETITION REGARDING RETINITIS PIGMENTOSA.
9 MY NAME IS PAUL BRESGI (PHONETIC), AND I'M HERE FOR
10 MY DAUGHTER. HER NAME IS TAMAR.

11 TAMAR IS 17 YEARS OLD AND I'M VERY
12 FRIGHTENED FOR HER FUTURE. TWO YEARS AGO TO THIS
13 DAY, TODAY, A PEDIATRIC OPHTHALMOLOGIST TOLD TAMAR
14 THAT SHE'S GOING BLIND. SHE HAS HUGE BLIND SPOTS
15 ABOVE AND BELOW HER CENTRAL VISION, AND HER DARKNESS
16 IS GROWING RAPIDLY. THE DOCTOR OFFERED NO OPTIMISM
17 AND NO POTENTIAL CURE. YOU CAN IMAGINE OUR
18 DEVASTATION. WE HAD NEVER HEARD OF RETINITIS
19 PIGMENTOSA, AND SUDDENLY IT CONSUMED OUR LIVES.

20 I PROMISED TAMAR THAT DAY THAT I WOULD
21 DEDICATE MY LIFE TO FINDING HER A CURE, AND I'VE
22 KEPT MY PROMISE. I SPENT MY FIRST YEAR LEARNING
23 EVERYTHING THERE WAS TO KNOW ABOUT RP. I VISITED
24 AND HELD CONVERSATIONS WITH EXPERTS IN NORTH AMERICA
25 AND EUROPE AND AUSTRALIA. BUT I REALIZED VERY EARLY

BARRISTERS' REPORTING SERVICE

1 ON THAT OUR ONLY HOPE IS WITH DR. KLASSEN WITH STEM
2 CELLS.

3 I WAS VERY FORTUNATE TO GET TO KNOW DR.
4 KLASSEN. WE PUT TOGETHER A STRONG TEAM OF HIGHLY
5 RECOGNIZED SCIENTISTS, DOCTORS, AND BUSINESS
6 ADVISORS TO TAKE HIS WORK OUT OF THE LAB. IT GIVES
7 HOPE FOR TAMAR AND FOR PEOPLE LIKE ROSIE WHO YOU
8 WILL HEAR RIGHT AFTER ME.

9 WE'RE VERY THANKFUL FOR THE GREAT SUPPORT
10 THAT CIRM HAS GIVEN OUR PROJECT TO DATE. IN LATE
11 2010 DR. KLASSEN WAS AWARDED WITH AN ET II GRANT,
12 AND THAT FUNDING PROPELLED US VERY FAR AHEAD, BUT WE
13 WERE VERY DISAPPOINTED TO LEARN THAT WE WERE NOT
14 RECOMMENDED FOR THIS GRANT. WHEN WE READ THE
15 REVIEWER'S REPORT, WE WERE PLEASED AS WE ARE ABLE TO
16 SATISFY ALL OF THE CONCERNS AS YOU'VE SEEN IN DR.
17 KLASSEN'S PETITION AND AS YOU WILL HEAR FROM DR.
18 KLASSEN AS WELL.

19 I'D LIKE TO EMPHASIZE THAT WE HAVE NEW
20 INFORMATION. MUCH HAS CHANGED SINCE OUR APPLICATION
21 IN JANUARY. SINCE THAT TIME, WE HAVE MANUFACTURED
22 GMP CELLS AT UC DAVIS. WE HAVE COMPLETED AND HAVE
23 RESULTS ON AN OFFSHORE TRIAL, THREE PATIENTS, AND
24 WE'VE HAD REMARKABLE SUCCESS. A COUPLE OF DAYS AGO
25 WE GOT NEWS THAT WE HAD BEEN AWARDED WITH ORPHAN

BARRISTERS' REPORTING SERVICE

1 DISEASE STATUS TO FAST-TRACK THE FDA PROCESS.
2 THESE MILESTONES SHOULD PUT US INTO THE CATEGORY OF
3 RECONSIDERATION OR FUNDING.

4 I TRAVELED 3,000 MILES HERE FOR MY THREE
5 MINUTES. I BELIEVE WE HAVE A SAFE TREATMENT THAT
6 CAN POTENTIALLY SAVE PEOPLE FROM GOING BLIND. WITH
7 GMP PRODUCTS IN HAND AND CLINICAL TRIALS SET TO
8 BEGIN IN 2013, WE NEED ADEQUATE CIRM MONEY URGENTLY
9 TO BRING THIS TO FRUITION.

10 IN THE LAST TWO YEARS, I'VE SEEN TAMAR'S
11 VISION DECLINE SIGNIFICANTLY. EVERY TIME THAT I SEE
12 HER TRYING TO NAVIGATE HERSELF THROUGH DIM LIGHT OR
13 TRIPPING OVER A TODDLER OR SHOES OR OUR DOG, IT'S
14 LIKE SOMEBODY IS PUTTING A KNIFE THROUGH MY HEART.
15 THEN I REMEMBER THE WORK THAT WE'RE DOING AND I DO
16 HAVE HOPE. THIS PROJECT CAN BE A WIN FOR TAMAR, FOR
17 DR. KLASSEN, FOR CIRM, AND FOR ALL OUR RP AND
18 POTENTIALLY AMD PATIENTS. WITHOUT CIRM FUNDING, MY
19 CHILD WILL GO BLIND. PLEASE RECONSIDER.

20 MS. BERRERO: HELLO. MY NAME IS ROSALINDA
21 BERRERO, AND I WAS DIAGNOSED WITH RETINITIS
22 PIGMENTOSA 15 YEARS AGO. AT THE TIME I WAS PREGNANT
23 WITH TWINS, AND I WENT FROM THE HIGH OF KNOWING THAT
24 I WAS GOING TO HAVE TWINS TO THE LOW OF FINDING OUT
25 THAT I WOULD SOMEDAY BE BLIND. AND IT'S SO HARD FOR

BARRISTERS' REPORTING SERVICE

1 ME TO SPEAK TO ALL OF YOU AND TELL YOU HOW IMPORTANT
2 IT IS TO SAVE YOUR VISION. AND I TOLD MYSELF I
3 WASN'T GOING TO CRY BECAUSE THERE'S SO MUCH HOPE.
4 WE LIVE IN SUCH EXCITING TIMES.

5 RETINITIS PIGMENTOSA HAS BEEN AROUND SINCE
6 THE 14TH OR 15TH CENTURY AS TOLD TO ME BY DR.
7 HECKENLIVELY AT UCLA. I HOPE THAT TODAY IS THE DAY
8 THAT YOU WOULD RECONSIDER DR. KLASSEN'S
9 EXTRAORDINARY PETITION, AND I JUST PRAY THAT YOU
10 WOULD JUST REALLY LOOK AT IT METICULOUSLY AS YOU
11 HAVE EVERYTHING ELSE THAT'S HERE. AND I JUST THANK
12 YOU FOR LISTENING.

13 MR. BERRERO: SHE'S GOING TO MAKE ME CRY.
14 I'M ROSIE'S HUSBAND, HERMAN BERRERO. AND 18 YEARS
15 AGO, RIGHT ABOUT WHEN YOU WERE TERMING OUT, MR.
16 TORRES, FROM THE SENATE, I MET ROSIE JUST BY
17 COINCIDENCE. IT WAS BECAUSE OF HER LACK OF VISION,
18 NOT BEING ABLE TO SEE, THAT SHE TRIED TO GET INTO MY
19 CAR. AND I WAS PARKING MY CAR IN OLD TOWN PASADENA,
20 AND THIS BEAUTIFUL GIRL WAS TRYING TO GET INTO MY
21 CAR AND CHANGED MY LIFE. I DON'T KNOW IF I WANT HER
22 TO SEE. I'M TEASING. I'M TEASING. NO. REALLY
23 IT'S BEEN AN INCREDIBLE JOURNEY.

24 IT'S FUNNY. IN MY COLLEGE DAYS I LIVED
25 WITH A BLIND PERSON, AND I WOULD GO SKIING AND WE'D

BARRISTERS' REPORTING SERVICE

1 WOULD DO THINGS THAT NORMALLY A BLIND PERSON DOESN'T
2 DO. AND SO I THINK GOD SOMEHOW WAS PREPARING ME FOR
3 THIS JOURNEY THAT WE'RE ON.

4 BUT THE IRONY OF ALL THIS IS THAT THIS IS
5 THE FIRST TIME THAT MY WIFE IS ADVOCATING FOR HER
6 VISION BECAUSE WE HAVE A 14-YEAR-OLD SON WITH
7 AUTISM, AND I'M SURE YOU HAVE ALL SUPPORTED THE
8 PEOPLE IN THE AUTISM WORLD. AND IT'S CHANGED OUR
9 LIVES. AND I KNOW THERE'S GREAT PEOPLE IN THIS ROOM
10 THAT HAVE SUPPORTED THAT.

11 AND SO HER VISION HAS BEEN ON THE BACK
12 SEAT SORT OF FURTHER DOWN ON THE TOTEM POLE FOR OUR
13 NEEDS, BUT I'M JUST HERE TO LET YOU KNOW THAT WHEN
14 WE DID GET THE DIAGNOSIS, WE WERE TOLD THAT
15 RETINITIS PIGMENTOSA HAS THE HIGHEST OR HAD THE
16 HIGHEST HOPE OF THERE BEING A CURE. AND HERE WE
17 ARE. WE ARE VERY HOPEFUL, AND WE ARE -- WE HEAR
18 THAT THERE'S THINGS GOING AROUND AND WE'RE RIGHT ON
19 THE VERGE OF FINDING THE CURE.

20 AND WE ARE PLEADING WITH YOU THAT YOU
21 CONSIDER DR. KLASSEN'S WORK. THERE'S NOT A BIG
22 COMPANY OR ADVOCATES OR PEOPLE THAT ARE HERE TO PUSH
23 FOR DR. KLASSEN. WE'RE JUST AVERAGE PEOPLE THAT
24 DROVE UP FROM SOUTHERN CALIFORNIA TO ADDRESS YOU
25 TODAY, AND I APPRECIATE THE TIME THAT YOU'VE GIVEN

BARRISTERS' REPORTING SERVICE

1 US.

2 MR. TORRES: HERMAN, I JUST WANT TO THANK
3 YOU FOR YOUR ADVOCACY. AS I TOLD YOU EARLIER, THE
4 MOTHER OF MY CHILDREN WENT BLIND FROM WAGONER'S
5 DISEASE JUST THREE YEARS AGO. AND SO FOR THEM AS
6 CAREGIVERS, FOR MYSELF AS A FATHER, IT IS A VERY,
7 VERY DIFFICULT PATH. SO I APPRECIATE WHAT YOU'RE
8 DOING. AND, ROSIE, I APPRECIATE WHAT YOU ARE DOING,
9 AND YOU ARE A VERY EFFECTIVE ADVOCATE.

10 DR. KLASSEN: HELLO. I'M HENRY KLASSEN,
11 ASSOCIATE PROFESSOR OF OPHTHALMOLOGY AT UC IRVINE.
12 I'M ALSO DIRECTOR OF THE STEM CELL AND RETINAL
13 REGENERATION PROGRAM THERE. I THANK YOU ALL FOR THE
14 OPPORTUNITY TO SPEAK IF I CAN KEEP MY VOICE.

15 WE ARE DEVELOPING HUMAN RETINAL PROGENITOR
16 CELLS AS A TREATMENT FOR RETINITIS PIGMENTOSA UNDER
17 AN EARLY TRANSLATIONAL II AWARD. IT WAS BECAUSE OF
18 OUR VERY RAPID PROGRESS ON ET II MILESTONES THAT WE
19 WERE INVITED TO SUBMIT A DT II PROPOSAL.

20 THE REVIEWERS HAD GOOD THINGS TO SAY ABOUT
21 OUR PROJECT, OUR TEAM, AND OUR SCIENCE, WHICH
22 INCLUDES COLLABORATION WITH A NATIONAL EYE
23 INSTITUTE. THE ONE MAJOR CRITICISM WAS THE TESTING
24 OF CELLS MADE USING TWO DIFFERENT METHODS. THIS ONE
25 ISSUE DID CRYSTALLIZE THEIR DOUBT AS TO OUR

BARRISTERS' REPORTING SERVICE

1 READINESS TO ADVANCE TO DT STATUS. BUT WORK HAS
2 PROGRESSED OVER THE LAST SIX MONTHS, AS YOU'VE HEARD
3 FROM MANY GROUPS. IN OUR CASE WE BELIEVE IT'S FULLY
4 SATISFIED THE CONCERNS OF THE REVIEWERS AS NOTED IN
5 OUR PETITION.

6 AS YOU'VE HEARD, WE'VE MANUFACTURED THREE
7 GMP CELL BANKS USING A SINGLE SOP. AND THAT
8 BASICALLY PUTS THAT ONE MAJOR CRITICISM BEHIND US
9 COMPLETELY. IN DOING SO, WE'VE DEFINITELY MOVED
10 FROM AN EARLY TRANSLATIONAL SCOPE INTO THE
11 PRECLINICAL PHASE OF OUR PROJECT.

12 YESTERDAY WE GOT TOX DATA SHOWING THAT
13 THERE WERE NO TUMORS AT NINE MONTHS AND THAT THE
14 CELLS DO NOT LEAVE THE EYE. YESTERDAY WE WERE
15 GRANTED ORPHAN DRUG DESIGNATION BY THE FDA. AND FOR
16 THOSE WHO DON'T REALIZE, THAT WILL GREATLY HASTEN
17 OUR PROGRESS WITH THE REGULATORY COMMITTEES THERE.

18 WE ARE NOW HALF A YEAR INTO OUR DT
19 MILESTONES WITH CLINICAL TRIALS. OUR MILESTONE IS
20 ON TRACK FOR 2013. SO OUR ONLY PROBLEM IS DOING OUR
21 DT WORK ON AN ET BUDGET. WE, THEREFORE, APPEAL TO
22 CIRM AND TO THE ICOC FOR A SOLUTION THAT WILL ALLOW
23 US TO MAINTAIN THIS EXTRAORDINARY PROGRESS.

24 I WOULD ALSO LIKE TO TELL YOU WHY WE'RE
25 WORKING SO HARD. IT'S BECAUSE WE'VE SEEN WHAT RP

BARRISTERS' REPORTING SERVICE

1 DOES TO PEOPLE, BUT IT'S ALSO BECAUSE WE'VE SEEN
2 WHAT OURSELVES CAN DO FOR THEM. THERE'S THREE
3 PATIENTS, HUMANS, WITH END-STAGE RP. THAT MEANS
4 THEY'RE BLIND. THEY HAVE BEEN TREATED WITH SIMPLE
5 INJECTIONS OF HUMAN RPC'S WITHOUT IMMUNE
6 SUPPRESSION, ANOTHER CRITICISM, BUT THEY DID NOT GET
7 IMMUNE SUPPRESSION AND ALL THREE SHOWED IMPROVEMENTS
8 IN VISION.

9 ONE OF THEM, WHO'S BASICALLY STARTED OUT
10 WHERE ROSIE IS NOW, HAD SIX LINES OF IMPROVEMENT IN
11 BEST CORRECTED VISUAL ACUITY ON THE SNELLEN CHART,
12 SIX LINES. THAT'S GOING FROM LEGALLY BLIND TO
13 PASSING YOUR DRIVER'S LICENSE TEST. NOW, I'M NOT
14 RECOMMENDING THEY DRIVE.

15 MR. HARRISON: JUST TO LET YOU KNOW, YOUR
16 THREE MINUTES ARE UP, SO IF YOU COULD WRAP UP YOUR
17 COMMENTS, PLEASE.

18 DR. KLASSEN: SO THAT'S HARD TO CONVEY.
19 THE OTHER PATIENTS BENEFITED AS WELL. ONE POINTED
20 OUT THAT I'M GETTING GRAY, WHICH IS FAIR ENOUGH.
21 AND THE THIRD TOOK MY HAND BECAUSE SHE COULD NOW
22 LEAVE HER HOME AND GET TO THE CORNER STORE ON HER
23 OWN, AND SHE SAID, "YOU WON'T FORGET ABOUT US, WILL
24 YOU?" SO I SAY WE DO HAVE THE HUMAN DATA, WE HAVE
25 THE GMP CELLS, AND WE ARE READY. THANK YOU.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: THANK YOU. ANY OTHER
2 PUBLIC COMMENT ON THAT PARTICULAR APPLICATION? I
3 BELIEVE, JUST TO BE IN KEEPING WITH WHAT WE JUST
4 DID, WE SHOULD HAVE --

5 MR. HARRISON: I WOULD JUST ASK WHETHER
6 ANY BOARD MEMBERS WOULD LIKE TO HEAR THE STAFF
7 PRESENTATION. IF NOT, WE SHOULD MOVE FORWARD.

8 MR. TORRES: I WOULD LIKE TO HAVE STAFF.

9 CHAIRMAN THOMAS: SENATOR TORRES WOULD
10 LIKE TO HAVE A STAFF PRESENTATION.

11 DR. JUELSGAARD: ACTUALLY I'D LIKE TO MAKE
12 A MOTION THAT WE REFER THIS PARTICULAR APPLICATION
13 IN THE SAME PROCESS THAT WE'VE NOW DONE FOR SEVERAL
14 OTHERS FOR ANOTHER REVIEW.

15 DR. VUORI: I WOULD LIKE TO SECOND THAT.

16 CHAIRMAN THOMAS: IT'S MOVED AND SECONDED.
17 MR. SHESTACK ASKED WHAT THE RATIONALE FOR THAT IS.

18 MR. SHESTACK: WAS THERE INFORMATION?

19 MR. JUELSGAARD: YES. WHEN I LOOKED AT
20 THE RESPONSE, THE WRITTEN RESPONSE, IT SEEMS TO ME
21 THAT A LOT OF THE -- A NUMBER OF THE ISSUES THAT THE
22 REVIEWERS RAISED AS CONCERNS WERE ADDRESSED. SO I
23 DO BELIEVE THAT OVER THESE LAST SIX MONTHS PROGRESS
24 HAS BEEN MADE ON SEVERAL OF THESE; FOR EXAMPLE, THE
25 MASTER CELL BANKS, JUST AT THE END THEY TALKED

BARRISTERS' REPORTING SERVICE

1 ABOUT. SO I THINK THE DECISION THAT WAS MADE WAS
2 BASED ON THE BEST AVAILABLE DATA AT THE TIME, BUT
3 NEW DATA, IMPORTANT NEW DATA, HAS COME TO THE FORE,
4 AND I THINK IT'S WORTHY OF REVIEW.

5 CHAIRMAN THOMAS: DR. TROUNSON.

6 DR. TROUNSON: CHAIR, I WONDER IF WE COULD
7 REFER THIS TO THE CONFIDENTIAL SESSION TO HAVE A
8 DISCUSSION WITH MEMBERS OF THE ICOC ON THIS MATTER.

9 MR. TORRES: I'M NOT OPPOSED TO THAT, BUT
10 I JUST WANT TO BE SUPPORTIVE OF STEVE'S MOTION
11 SIMPLY BECAUSE OF THE WIDE VARIANCE OF THE NUMBERS
12 IN THE WORKING GROUP. WE HAD A 45, WE HAD AN 85, WE
13 HAD A 77, AND THEN 55. I THINK IT MERITS A LITTLE
14 FURTHER DISCUSSION.

15 CHAIRMAN THOMAS: SO WE WILL TABLE THIS
16 MOTION, WHICH I BELIEVE IS WHAT DR. TROUNSON IS
17 ASKING. THAT IS WHAT HE'S ASKING. MR. HARRISON,
18 YOU'RE LOOK DISAPPROVINGLY.

19 MR. HARRISON: NO, NOT DISAPPROVINGLY, BUT
20 JUST, AS THE PERSON WHO TRIES TO KEEP TRACK OF
21 PROCEDURE HERE, SINCE WE DO HAVE A MOTION THAT IS ON
22 THE TABLE, ORDINARILY WE WOULD MOVE TO A VOTE ON
23 THAT. IF THE MAKER OF THE MOTION AND THE SECOND ARE
24 WILLING TO POSTPONE THAT TO TAKE IT OFF THE TABLE
25 UNTIL WE RETURN FROM CLOSED SESSION, THEN THAT'S

BARRISTERS' REPORTING SERVICE

1 FINE.

2 MR. ROTH: I'LL MOVE TO TABLE THE MOTION.

3 DR. JUELSGAARD: I SECOND THAT MOTION.

4 CHAIRMAN THOMAS: MR. JUELSGAARD AS MAKER
5 OF THE ORIGINAL AGREES TO THAT. WE DON'T EVEN NEED
6 A VOTE FOR THAT, I DON'T BELIEVE.

7 MR. HARRISON: NO, BUT THE MOTION MADE, WE
8 SHOULD JUST TAKE A VOICE VOTE.

9 CHAIRMAN THOMAS: ALL IN FAVOR PLEASE SAY
10 AYE. OPPOSED? DONE, TABLED, AND WILL BE TAKEN UP
11 FOR FURTHER REVIEW AFTER CLOSED SESSION.

12 DR. SAMBRANO: CAN I JUST MAKE A
13 CLARIFICATION JUST FOR THE RECORD IN TERMS OF THE
14 RANGE OF SCORES? THE RANGE OF SCORES WERE FROM 40
15 TO A 65. SO I HEARD 85 AND OTHER SCORES, SO I DON'T
16 THINK THAT'S POSSIBLE.

17 MR. TORRES: WELL, WAS THIS 5739?

18 DR. SAMBRANO: THAT'S CORRECT.

19 MR. TORRES: WELL, THAT'S WHAT I WAS GIVEN
20 IN TERMS OF MY FOLDER, MR. SAMBRANO. AND YOU'RE
21 MORE THAN WELCOME TO SUBPOENA IT.

22 DR. SAMBRANO: THE RANGE OF SCORES THAT WE
23 HAVE RECORDED FROM THE REVIEWERS IS 40 TO 65.

24 MR. TORRES: THESE MUST BE THE ORIGINAL
25 SCORES THEN.

BARRISTERS' REPORTING SERVICE

1 DR. SAMBRANO: IF THEY WERE PRELIMINARY
2 SCORES FROM THE GRANTS WORKING GROUP, IT COULD BE
3 THAT, BUT THE FINAL SCORES RANGE FROM 40 TO 65.

4 CHAIRMAN THOMAS: OKAY.

5 MR. TORRES: IT STILL DEMANDS A REVIEW.

6 CHAIRMAN THOMAS: OKAY. PUBLIC COMMENT ON
7 OTHER APPLICATIONS?

8 MS. ROBERSON: I'M JUDY ROBERSON FROM
9 SACRAMENTO. I'M A FAMILY ADVOCATE FOR HUNTINGTON'S
10 DISEASE. I LOST MY HUSBAND, HIS BROTHER, HIS
11 MOTHER, THEIR MOTHER, AND THEIR GRANDFATHER TO
12 HUNTINGTON'S. I HAVE CHILDREN, GRANDCHILDREN,
13 NIECES AND NEPHEWS AT RISK.

14 HUNDRED FIFTY YEARS AGO HUNTINGTON'S
15 DISEASE WAS IDENTIFIED BY DR. GEORGE HUNTINGTON.
16 NEARLY 20 YEARS AGO A GENE FOR HUNTINGTON'S WAS
17 FOUND, BUT PRESENTLY THERE'S NOT ONE TREATMENT FOR
18 HUNTINGTON'S. IT'S ALWAYS FATAL. IT'S SUGGESTED TO
19 EAT BLUEBERRIES. THAT'S IT. THE WORLD'S WATCHING
20 AND WAITING FOR A TREATMENT FOR HUNTINGTON'S.

21 WE CAME HERE TOGETHER TODAY BECAUSE WE
22 WANT TO THANK YOU FOR YOUR HARD WORK, YOUR
23 DEDICATION, COURAGE, AND FORTITUDE. WE KNOW THIS IS
24 GROUNDBREAKING WORK, AND WE APPRECIATE IT. WE'RE SO
25 PROUD OF UC DAVIS FOR SCORING, WITH DR. WHEELLOCK AND

BARRISTERS' REPORTING SERVICE

1 NOLTA, FOR SCORING FIRST PLACE FOR THIS FIRST EVER
2 CLINICAL TRIAL GRANT FOR HUNTINGTON'S.

3 BECAUSE OF THE LENGTHY AGENDA, OUR FRIEND
4 KEVIN ASKED US TO CHOOSE THREE SPEAKERS. AND SO WE
5 HAVE ONE CHOSEN WHO IS AFFECTED WITH HUNTINGTON'S
6 DISEASE. THE OTHER ONE IS A WIFE AND HER HUSBAND
7 HAS HD, AND SHE HAS THREE SMALL CHILDREN AT RISK.
8 OUR LAST SPEAKER IS GENE POSITIVE FOR HUNTINGTON'S
9 DISEASE.

10 THERE'S A HUNTINGTON'S DISEASE DOCUMENTARY
11 TEAM HERE TODAY. IT'S CALLED "A RIDE WITH MATT."
12 THEY'RE RAISING MONEY TO HELP FUND JAN NOLTA'S
13 RESEARCH AND VICKI WHEELOCK'S CLINIC AT UC DAVIS.

14 SO, AGAIN, THANK YOU. AND CIRM, WHAT CIRM
15 IS DOING TODAY AND THE ICOC, YOU'RE FULFILLING THE
16 AIM OF THE CALIFORNIA VOTERS WHO VOTED FOR AND
17 PASSED PROPOSITION 71. THANK YOU. NOW HEAR OUR
18 THREE SPEAKERS.

19 MS. JACKSON: BEHAVIORAL DISTURBANCE,
20 HALLUCINATION, IRRITABILITY, PARANOIA,
21 DISORIENTATION, LOSS OF MEMORY, PERSONALITY CHANGES,
22 ANXIETY, DIFFICULTY SWALLOWING, DEMENTIA, LOSS OF
23 ABILITY TO CARE FOR ONESELF, INFECTION, DEPRESSION,
24 SUICIDAL THOUGHTS, CHOREA, DYSTONIA, DEATH, THESE
25 ARE JUST SOME OF SO MANY SYMPTOMS ASSOCIATED WITH

BARRISTERS' REPORTING SERVICE

1 HUNTINGTON'S DISEASE.

2 MY HUSBAND WAS ONLY 27 YEARS OLD WHEN HE
3 WAS DIAGNOSED HD POSITIVE. I DON'T KNOW WHAT
4 TERRIFIED ME MORE. KNOWING ALL THESE SYMPTOMS, IF
5 THEY HAVEN'T ALREADY, WERE GOING TO HAPPEN TO MY
6 HUSBAND, KNOWING I HAVE THREE YOUNG CHILDREN AT RISK
7 OF HUNTINGTON'S DISEASE, KNOWING THAT MY CHILDREN
8 ARE GOING TO WATCH THEIR DADDY, THEIR HERO, FIGHT
9 AND STRUGGLE THROUGH THIS ILLNESS AND IN THE END
10 DIE, ME HAVING TO POSSIBLY TAKE CARE OF ONE OF MY
11 BABIES IF THEY INHERIT THIS DISEASE, OR THE FUTURE
12 WITHOUT MY HUSBAND. MY HUSBAND NOT BEING THERE TO
13 WALK OUR DAUGHTERS DOWN THE AISLE. MY HUSBAND NOT
14 BEING THERE TO EXPERIENCE AND CELEBRATE OUR
15 CHILDREN'S ACCOMPLISHMENTS OR THE THOUGHT YOU HAVE
16 NO HOPE. I THINK THAT'S THE WORST PART, NO HOPE.

17 WHEN MIKE WAS FIRST DIAGNOSED, I COULDN'T
18 BELIEVE THERE WAS NOTHING THEY COULD DO FOR HIM, NO
19 TREATMENT, NO THERAPY, NO MEDICINE, NOTHING. HAVING
20 NO HOPE IS SO HARD ON THE HUMAN SPIRIT. THAT ALL
21 CHANGED WHEN MIKE AND I ATTENDED A CONFERENCE YEARS
22 AGO WHEN WE GOT TO HEAR AN AMAZING SPEAKER, DR.
23 NOLTA. I COULDN'T BELIEVE WHAT I WAS HEARING.
24 POSSIBLY, JUST POSSIBLY, THERE COULD BE HOPE.

25 WHEN I WAS THINKING ABOUT WHAT I WAS GOING

BARRISTERS' REPORTING SERVICE

1 TO SAY TODAY, I THOUGHT OF THE INDIVIDUALS WITH
2 HUNTINGTON'S DISEASE, BUT I SOON REALIZED IT'S SO
3 MUCH MORE THAN THAT. THERE ARE MILLIONS OF PEOPLE
4 AFFECTED BY HUNTINGTON'S DISEASE. THE HD POSITIVE
5 WHO SUFFER THE PAIN AND TERROR OF THIS DISEASE, BUT
6 ALSO THE FAMILY AND FRIENDS THAT HAVE TO SIT BACK
7 AND WATCH THEIR LOVED ONES FIGHT THIS UNWINNING
8 BATTLE.

9 CIRM IS SO AMAZING, AND TODAY YOU GUYS
10 HAVE THE POSSIBILITY OF GIVING OUR HD COMMUNITY THE
11 OPPORTUNITY TO SAVE A MOTHER FROM HAVING TO BURY HER
12 BABY, TO SAVE CHILDREN FROM HAVING TO WATCH THEIR
13 PARENT DIE OF THIS LONG, TERRIFYING DEATH, OR TO
14 SAVE A WOMAN LIKE ME TO HAVE TO BE ALONE IN THIS
15 WORLD AFTER THEIR PARTNER PASSES AND RAISE THE
16 CHILDREN ON THEIR OWN. AND WE NEED HELP. WE NEED
17 HOPE MORE THAN WORDS CAN EXPLAIN TODAY.

18 I'M GOING TO LEAVE YOU WITH A REALLY QUICK
19 STORY. MY NINE-YEAR-OLD DAUGHTER CAME TO ME AND MY
20 HUSBAND THE OTHER NIGHT AND ASKED MY HUSBAND, "DID
21 YOUR DAD DIE OF HUNTINGTON'S DISEASE?" WHEN MY
22 HUSBAND SAID YES, HER LITTLE EYES FILLED WITH TEARS
23 AND SHE SAID, "DADDY, ARE YOU GOING TO DIE FROM
24 HUNTINGTON'S DISEASE?" I CAN'T WAIT FOR THE DAY I
25 CAN WIPE HER TEARS AWAY AND TELL HER, "YOUR DADDY IS

BARRISTERS' REPORTING SERVICE

1 GOING TO BE FINE." HOPEFULLY THAT DAY STARTS TODAY.
2 PLEASE CONSIDER FUNDING DR. JAN NOLTA'S PROJECTS.
3 THANK YOU.

4 MR. HINSHAW: HI. MY NAME IS MICHAEL
5 HINSHAW. I HAVE THREE BEAUTIFUL CHILDREN AND A
6 LOVING WIFE, AND I TOOK THE OATH EIGHT YEARS AGO TO
7 SERVE SACRAMENTO COUNTY AS A DEPUTY SHERIFF. MY OLD
8 DREAM WAS TO HELP PEOPLE. ALL MY LIFE THAT'S ALL
9 I'VE EVER WANTED TO DO IS HELP PEOPLE. AND I NEVER
10 THOUGHT I'D BE ASKING FOR YOUR HELP TODAY.

11 I WAS 27 WHEN I WAS DIAGNOSED WITH THE
12 HORRIFIC DISEASE. AND THE PRESSURE I FELT EVERY DAY
13 THINKING ABOUT HOW IS MY FAMILY GOING TO SURVIVE
14 WITHOUT ME. I'M THINKING OF DOCTOR'S BILLS, I'M
15 THINKING OF LONG-TERM DISABILITY, AND I'M TRYING
16 TO -- I'M TRYING TO FIGURE OUT HOW MY FAMILY IS
17 GOING TO SURVIVE.

18 I'M 32 YEARS OLD NOW. I SHOULD BE
19 THINKING ABOUT MY KIDS' SOCCER, FOOTBALL, AND
20 HOMEWORK. I'M DYING. IT'S HARD. I FEEL SO MUCH
21 PAIN AND SEEING MY KIDS, KNOWING THEY HAVE A CHANCE
22 TO GO THROUGH WHAT I'M GOING THROUGH. I'M SORRY.

23 IN THE FUTURE IT SCARES ME ALL FOR
24 HUNTINGTON'S. IT'S VERY SCARY KNOWING THAT, LIKE I
25 SAID, THERE'S NOTHING THEY CAN DO, NO CURES, NO

BARRISTERS' REPORTING SERVICE

1 NOTHING. THE ONLY HOPE IS THAT YOU ALL FUND DR.
2 NOLTA. THANK YOU.

3 MS. BROOKHYSER: GOOD AFTERNOON. MY NAME
4 STACY BROOKHYSER, AND I DROVE UP HERE FROM ORANGE
5 COUNTY TO HAVE AN OPPORTUNITY TO SPEAK WITH YOU
6 TODAY. MY INTEREST HERE HAS TO DO WITH THE GRANT
7 PROPOSAL FOR HUNTINGTON'S DISEASE.

8 FIRST, THANK YOU FOR PROVIDING RESEARCH
9 OPPORTUNITIES TO COMBAT SIGNIFICANT DISEASE ISSUES.
10 YOUR WORK IS HOPE FOR THOSE OF US AND OUR FAMILIES
11 AFFECTED BY DISEASES SUCH AS HUNTINGTON'S. A LITTLE
12 ABOUT ME. I'VE TESTED POSITIVE FOR HUNTINGTON'S
13 DISEASE. AND ALTHOUGH I DON'T YET HAVE SYMPTOMS,
14 I'M WATCHING MY DEAR MOTHER SUFFER A LONG, SLOW
15 PROGRESSION OF SYMPTOMS TOWARD DEATH FROM
16 HUNTINGTON'S. WATCHING MY MOTHER SUFFER IS SO, SO
17 DIFFICULT, BUT IT FEELS SUBSTANTIALLY WORSE FOR ME
18 BECAUSE I KNOW THAT MY DNA ALSO HAS THE EXPANDED HD
19 GENE. WITHOUT TREATMENT BREAKTHROUGHS THROUGH
20 RESEARCH, I STAND TO FOLLOW HER IN ENDURING THE
21 SYMPTOMS OF THIS DISEASE.

22 I CHOSE TO TEST FOR HD BECAUSE MY HUSBAND
23 AND I WANTED TO HAVE CHILDREN, BUT WE DIDN'T WANT TO
24 PASS ON THE HD GENE TO OUR DESCENDANTS. WE KNEW OUR
25 CHILDREN WOULD EACH BE AT A 50-PERCENT RISK TO

BARRISTERS' REPORTING SERVICE

1 RECEIVE THE GENE FROM ME. WE WEREN'T WILLING TO
2 ROLL THE DICE WITH THEIR HEALTH. THE OPPORTUNITY TO
3 USE PREIMPLANTATION GENETIC DIAGNOSIS, PGD, OFFERED
4 US A WAY TO CHOOSE HEALTHY, UNAFFECTED EMBRYOS TO
5 IMPLANT THROUGH IN VITRO FERTILIZATION. THE
6 WONDERFUL RESULT IS THAT MY 5-YEAR-OLD TWIN
7 DAUGHTERS WILL NEVER HAVE HD.

8 BUT WHAT HAPPENED TO MY HD-AFFECTED
9 EMBRYOS? THEY WERE SENT TO UC IRVINE UNDER THE CARE
10 OF DR. LESLIE THOMPSON. SHE WAS AWARDED A CIRM
11 GRANT IN 2008, AND YOUR FINANCIAL SUPPORT, ALONG
12 WITH MY EMBRYOS, LED TO A VALUABLE NEW STEM CELL
13 LINE.

14 STEM CELL RESEARCH, ALL KINDS OF STEM CELL
15 RESEARCH, OFFER GREAT PROMISE FOR TREATMENT OF HD.
16 I'M A PART OF THE HUNTINGTON'S DISEASE COMMUNITY
17 WHICH IS COMPOSED OF MANY, MANY DEDICATED
18 INDIVIDUALS. AND THROUGH OUR TEAM EFFORTS, WE
19 ACCOMPLISH MUCH. HD RESEARCHERS ARE CLOSELY
20 CONNECTED TO OUR COMMUNITY, ATTENDING OUR EVENTS,
21 PARTICIPATING IN OUR FUND RAISERS, OFFERING
22 ENCOURAGEMENT TO OUR AFFECTED FAMILIES, AND, IN
23 TURN, WE ARE HERE TO SUPPORT THEM.

24 TODAY I'M HERE TO STAND WITH DR. NOLTA AND
25 DR. WHEELOCK AND THEIR PROPOSAL. THEY'VE ALREADY

BARRISTERS' REPORTING SERVICE

1 DEMONSTRATED SUCCESS WITH THIS PROJECT AND IT'S
2 READY MOVE ON TO HUMAN CLINICAL TRIALS. I BELIEVE
3 IN THIS TEAM, AND I ASK YOU, PLEASE, APPROVE THEIR
4 REQUEST. IT COULD MAKE A HUGE DIFFERENCE FOR MY
5 HEALTH, THE HEALTH OF MY MOTHER, MY SISTER, AND HER
6 CHILDREN, MY AUNT, MY COUSINS, AND SO MANY OTHER
7 AFFECTED FAMILIES. THANK YOU FOR YOUR CONTINUED
8 SUPPORT.

9 (APPLAUSE.)

10 CHAIRMAN THOMAS: THANK YOU ALL FOR YOUR
11 MOVING PUBLIC COMMENTS, THOUGH THE FORMAL VOTE WON'T
12 BE TAKEN FOR A BIT BECAUSE WE HAVE CLOSED SESSION IN
13 BETWEEN. I WANT TO TELL YOU, AS YOU ALREADY KNOW,
14 THAT YOU'RE IN VERY GOOD SHAPE WITH RESPECT TO THIS
15 GRANT, WHICH WE WILL FORMALIZE AT OUR LATER VOTE.
16 SO IF YOU CAN HANG WITH US FOR A BIT LONGER. THANK
17 YOU VERY MUCH FOR THOSE COMMENTS.

18 ANY OTHER COMMENTS ON ANY OTHER
19 PRESENTATION?

20 DR. POMEROY: I JUST WANT TO THANK ALL OF
21 THE PEOPLE WITH HUNTINGTON'S WHO CAME HERE TODAY AND
22 TO REITERATE THAT THEY HAVE BEEN FANTASTIC PARTNERS
23 WITH UC DAVIS IN THIS FIGHT. AND I THINK IT IS THE
24 EPITOME OF WHAT PROPOSITION 71 HOPED, WHICH WAS
25 SCIENTISTS AND PATIENTS COMING TOGETHER TO FIND

BARRISTERS' REPORTING SERVICE

1 HOPE. SO THANK YOU FOR A GREAT PARTNERSHIP. AND AS
2 I HAVE TOLD THEM, I LOOK FORWARD TO THE DAY WHEN WE
3 GET TO STAND OUT IN FRONT OF THE UC DAVIS INSTITUTE
4 FOR REGENERATIVE CURES AND ANNOUNCE THE CURE FOR
5 HUNTINGTON'S. THANK YOU.

6 (APPLAUSE.)

7 MS. SAMUELSON: MR. CHAIRMAN, I WANT TO
8 ADD A QUICK NOTE TO THAT IF I MAY. YOU ARE GREAT
9 WITNESSES FOR OUR JOINT MISSION THAT DR. POMEROY WAS
10 JUST TALKING ABOUT. AND I'LL NEVER FORGET THE FIRST
11 TIME WE HAD A MEETING AT UC IRVINE AND I FIRST
12 LEARNED ABOUT HUNTINGTON'S FROM SOME OF YOU. WE'RE
13 GOING TO NEED YOU IN THE FUTURE, AND HERE IS MY
14 VISION OF IT, THAT WE HAVE AN AGGRESSIVE, EXPANDING
15 PORTFOLIO THAT EVENTUALLY WE CAN'T AFFORD BECAUSE WE
16 HAVE SO MANY POTENTIAL CURES AND THERAPIES, THAT
17 THERE'S NOT ENOUGH MONEY IN THE \$3 BILLION THAT
18 CALIFORNIA GAVE US. AND THEN WE'RE GOING TO NEED
19 WITNESSES LIKE YOU WHO CAN TESTIFY TO THE IMPORTANCE
20 OF MARRYING MONEY, ENOUGH MONEY, WITH GOOD SCIENCE
21 AND THAT IT CAN CURE PEOPLE AND STOP THIS TERRIBLE
22 SUFFERING. AND I AM CERTAIN THAT WE CAN GET A BIG
23 POT OF MONEY FROM AROUND THE WORLD THAT WOULD
24 CONTINUE US IN BUSINESS OR WHOEVER WANTS TO DO THIS
25 WORK IN THAT AGGRESSIVE WAY. THANK YOU AND STAY IN

BARRISTERS' REPORTING SERVICE

1 TOUCH WITH US.

2 CHAIRMAN THOMAS: THANK YOU. ANY OTHER
3 COMMENTS ON ANY OTHER APPLICATIONS? SEEING NONE,
4 HERE'S WHAT I WOULD PROPOSE. WE HAVE THREE MATTERS
5 ON THE AGENDA THAT WE NEED TO GET THROUGH IN
6 ADDITION TO THIS, CORRECT? THE REST WE CAN TABLE.
7 OKAY. ONE OF THOSE IS THE RESEARCH LEADERSHIP
8 AWARDS, WHICH ITSELF IS GOING TO REQUIRE A CLOSED
9 SESSION. WHAT I WOULD PROPOSE, MR. HARRISON, IS
10 THAT WE TAKE UP, BEFORE GOING TO CLOSED SESSION, THE
11 TWO RESEARCH LEADERSHIP AWARDS AND THEN FACTOR THAT
12 IN AT THE END OF CLOSED SESSION SO THAT WE DON'T
13 HAVE TO KEEP COMING BACK AND FORTH. AND THEN WE
14 NEED TO COME BACK, WE HAVE TO ADDRESS THE IP REGS
15 AND THE EARLY TRANSLATION IV -- HOW MANY ARE WE
16 LOSING?

17 MS. FEIT: I'M STILL ON THE PHONE.

18 MS. BONNEVILLE: I COUNTED THAT THERE
19 WOULD BE FIVE BY THE END THAT WE WOULD LOSE ROUGHLY,
20 WHICH WOULD LEAVE US STILL AT 20. I DON'T KNOW IF I
21 JUST DIDN'T TAKE A GOOD HEAD COUNT.

22 CHAIRMAN THOMAS: THE ONLY THING WE CAN
23 VOTE ON AT THIS POINT, SHERRY, WHICH IS SUBSTANTIAL,
24 IS THE SIX RECOMMENDED PLUS THE ALS, BUT WE HAVE TWO
25 REFERRED, I BELIEVE, AND FOUR IN CLOSED SESSION, ONE

BARRISTERS' REPORTING SERVICE

1 OF WHICH HAS A MOTION TO REFER. SO, MR. HARRISON,
2 COULD WE VOTE ON THE SIX THAT WERE MOVED FOR
3 APPROVAL PLUS THE ALS, OR WHAT PROCEDURE WOULD YOU
4 RECOMMEND HERE?

5 MR. HARRISON: WHAT I WOULD RECOMMEND IS
6 IF WE CAN GO TO CLOSED SESSION QUICKLY AND RESOLVE
7 THE ISSUES THAT HAVE BEEN RAISED WITH RESPECT TO
8 THOSE APPLICATIONS, THAT WAY WE CAN DETERMINE THE
9 TOTAL AMOUNT OF AWARDS THAT WILL BE FUNDED TODAY IN
10 ADDITION TO THOSE THAT ARE REFERRED FOR ADDITIONAL
11 CONSIDERATION.

12 THE OTHER OPTION THE BOARD HAS TO CONSIDER
13 IS THE POSSIBILITY OF TRYING TO SCHEDULE A
14 TELEPHONIC MEETING TO TAKE UP THE ISSUES WE'RE
15 UNABLE TO RESOLVE TODAY.

16 CHAIRMAN THOMAS: OKAY. SO CAN WE --
17 SHERRY, IS THAT OKAY? SO CAN WE PROCEED NOW TO THE
18 PRESENTATION OF THE RESEARCH LEADERSHIP AWARDS.
19 DR. YAFFE.

20 DR. YAFFE: MR. CHAIRMAN, MEMBERS OF THE
21 BOARD, MEMBERS OF THE PUBLIC, I BRING TO YOU FOR
22 YOUR CONSIDERATION THE RECOMMENDATIONS FROM THE
23 GRANTS WORKING GROUP FOR THE RESEARCH LEADERSHIP
24 AWARDS. THIS IS ITEM NO. 14.

25 JUST TO BRIEFLY REMIND YOU THAT THE GOALS

BARRISTERS' REPORTING SERVICE

1 OF THIS PROGRAM ARE TO FACILITATE RECRUITMENT TO
2 CALIFORNIA OF THE MOST PRODUCTIVE AND PROMISING
3 EARLY TO MIDCAREER SCIENTISTS IN STEM CELL BIOLOGY
4 AND REGENERATIVE MEDICINE. AND ONCE RECRUITING THEM
5 HERE TO OUR STATE, TO SUPPORT THE ROBUST AND
6 INNOVATIVE RESEARCH PROGRAMS FOCUSED ON FUNDAMENTAL
7 STUDIES OF PLURIPOTENT AND PROGENITOR STEM CELLS AND
8 TRANSLATIONAL STUDIES LEADING TO INNOVATIVE STEM
9 CELL-BASED THERAPIES FOR DISEASE AND INJURY.

10 THE PROGRAM ELIGIBILITY AND SCOPE INCLUDES
11 THE PROGRAM BEING OPEN TO NONPROFIT CALIFORNIA
12 INSTITUTIONS. THE CANDIDATE PI MUST HOLD AT THE
13 TIME OF APPLICATION A POSITION OUTSIDE CALIFORNIA
14 AND HAVE BEEN INDEPENDENT FOR AT LEAST THREE YEARS.
15 INDIVIDUAL INSTITUTIONS MAY RECEIVE ONLY ONE OF
16 THESE AWARDS. AND THE ICOC, YOU, AUTHORIZED UP TO
17 EIGHT AWARDS. THREE AWARDS HAVE BEEN MADE TO DATE,
18 ONE TO ROBERT WECHSLER REYA AT SANFORD BURNHAM
19 INSTITUTE, A SECOND TO PETER COFFEY AND THE
20 UNIVERSITY OF CALIFORNIA SANTA BARBARA, AND A THIRD
21 TO DR. ZHIGANG HE AND THE UNIVERSITY OF CALIFORNIA
22 AT BERKELEY.

23 THE AWARDS ARE FIVE MILLION DIRECT FUNDS,
24 AND THE TOTAL VARIES WITH THE INDIRECT, AND
25 FACILITIES RATES COULD BE UP TO 6.5 MILLION.

BARRISTERS' REPORTING SERVICE

1 THE AWARDS FEATURE RESEARCH SUPPORTED FOR
2 UP TO SIX YEARS. THE AWARDEES MUST COMMIT AT LEAST
3 75 PERCENT OF THEIR TIME TO STEM CELL AND
4 REGENERATIVE MEDICINE RESEARCH. AND ELIGIBLE COSTS
5 INCLUDE THE PI'S SALARY, LAB OPERATIONS, LAB
6 RELOCATION TO CALIFORNIA, EQUIPMENT WHICH MUST BE
7 MATCHED BY THE INSTITUTION, FACILITIES, AND
8 APPROPRIATE INDIRECT COSTS.

9 THE REVIEW CRITERIA THAT THE GRANTS
10 WORKING GROUP HAS USED TO REVIEW THESE APPLICATIONS
11 ARE IN THREE AREAS. FIRST, RESEARCH VISION AND
12 PLANS. HERE THEY CONSIDER SIGNIFICANCE AND
13 INNOVATION IN PARTICULAR. THE PI'S ACCOMPLISHMENTS
14 AND POTENTIAL. HERE CONSIDERING RESEARCH
15 ACHIEVEMENT. THE IMPACT OF THE PROPOSED RESEARCH
16 AND OF WORK ALREADY DONE. THE LEADERSHIP BOTH
17 DEMONSTRATED AND POTENTIAL. AND THE ASSESSMENT OF
18 THE ACCOMPLISHMENTS OF THE CANDIDATES BY LEADERS IN
19 THE FIELD. AND THIS IS ELICITED IN THE FORM OF
20 LETTERS. AND FINALLY, THE INSTITUTIONAL COMMITMENT
21 AND ENVIRONMENT. AND HERE WE'RE INTERESTED BOTH IN
22 WHAT KIND OF RESEARCH ENVIRONMENT THE INSTITUTION
23 WILL PROVIDE FOR THE CANDIDATE AND WHAT KIND OF
24 LEADERSHIP THE CANDIDATE WILL BRING TO THE
25 INSTITUTION.

BARRISTERS' REPORTING SERVICE

1 IN OUR LATEST ROUND -- THIS IS RECURRING
2 ROUNDS OF APPLICATION AND REVIEW. IN OUR LATEST
3 ROUND, THE APPLICATION DEADLINE WAS IN MID-MAY. THE
4 GRANTS WORKING GROUP REVIEW WAS JUNE 20TH. TWO
5 APPLICATIONS WERE CONSIDERED. THEY'RE LISTED HERE.

6 THE FIRST APPLICATION, THE TITLE IS
7 "REPAIR AND REGENERATION OF THE NEPHRON" WITH
8 REQUESTED FUNDS 5.6 MILLION. THE REVIEW SCORE WAS
9 90, AND THE GRANTS WORKING GROUP RECOMMENDED THIS
10 APPLICATION FOR FUNDING.

11 THE SECOND APPLICATION HAS THE TITLE "STEM
12 CELL PATHOLOGIES IN PARKINSON'S DISEASE AS A KEY TO
13 REGENERATIVE STRATEGIES." THE REQUESTED FUNDS ARE
14 6.7 MILLION. THE SCIENTIFIC SCORE IS 57. THE
15 GRANTS WORKING GROUP ALSO RECOMMENDED THIS
16 APPLICATION FOR FUNDING.

17 AT THIS POINT I COULD EITHER ANSWER
18 QUESTIONS OR TURN IT TO THE REVIEW CO-CHAIR, MR.
19 SHEEHY, OR WHATEVER YOUR PLEASURE IS.

20 MR. TORRES: WHERE IS THE RESEARCH TAKING
21 PLACE IN EACH PROPOSAL?

22 DR. YAFFE: WE HAVE NOT GENERALLY REVEALED
23 THE APPLICANT INSTITUTION PUBLICLY PRIOR TO THE
24 AWARD. WE COULD DISCUSS THAT.

25 MR. TORRES: FINE.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: I WOULD RECOMMEND
2 TURNING IT OVER TO MR. SHEEHY FOR COMMENT.

3 MR. SHEEHY: WELL, I'M NOT SURE WHAT
4 COMMENTS ARE APPROPRIATE BECAUSE WE CAN'T REALLY
5 DISCLOSE ANY INFORMATION ABOUT THE INSTITUTIONS. I
6 DON'T KNOW TO WHAT DEGREE. PERSONALLY I WOULD JUST
7 MOVE THAT BOTH OF THESE BE APPROVED. THE WORKING
8 GROUP APPROVED THEM, AND I WOULD MAKE THAT MOTION
9 THAT THEY BOTH BE APPROVED.

10 MR. SERRANO-SEWELL: SECOND.

11 CHAIRMAN THOMAS: IT'S BEEN MOVED AND
12 SECONDED. I KNOW THAT WITH RESPECT TO AT LEAST ONE
13 THERE'S GOING TO BE SOME CLOSED SESSION DISCUSSION.

14 MR. SHEEHY: NOT UNLESS THE BOARD IS
15 SUPPORTIVE OF MOVING THEM FORWARD.

16 CHAIRMAN THOMAS: OKAY. THAT'S CORRECT.
17 IS THERE ANYBODY -- YES, MR. JUELSGAARD.

18 DR. JUELSGAARD: I'D LIKE TO MOVE TO AMEND
19 THAT MOTION TO VOTE SEPARATELY ON THE TWO
20 CANDIDATES.

21 CHAIRMAN THOMAS: MR. SHEEHY, DO YOU
22 ACCEPT THAT?

23 MR. SHEEHY: THAT'S FINE. I FIGURED THAT
24 WE'D DO THAT ANYWAY, TO BE HONEST, BASED ON OUR
25 PRIOR EXAMPLE FROM THE MORNING. WE GENERALLY DO

BARRISTERS' REPORTING SERVICE

1 THESE SEPARATELY. BUT MY POINT WAS RATHER THAN
2 DISCUSS THE PROCESS, TO KIND OF GO STRAIGHT INTO
3 WHAT ARE WE GOING TO DO WITH THESE APPLICATIONS.
4 AND THEN, IF WE HAVE TO GET INTO THE WEEDS, I THINK
5 WE'LL HAVE TO GO INTO CLOSED SESSION BECAUSE WE
6 CAN'T DISCLOSE -- I THINK IT'S INAPPROPRIATE TO TALK
7 TOO DEEPLY ABOUT THE CANDIDATE OR THE INSTITUTION.

8 CHAIRMAN THOMAS: WE CAN'T, THAT'S
9 CORRECT. MR. HARRISON.

10 MR. SHEEHY: SHOULD WE TAKE UP THE FIRST
11 ONE, I THINK. SO I WOULD MAKE MY MOTION ON 6536 FOR
12 APPROVAL. AND I THINK THE SECOND IS OKAY WITH THAT?

13 MR. SERRANO-SEWELL: SECOND.

14 CHAIRMAN THOMAS: IS THE SECOND OKAY WITH
15 THAT AMENDED PROPOSAL?

16 MR. SERRANO-SEWELL: YES.

17 CHAIRMAN THOMAS: OKAY. HOW DO WE HAVE
18 DISCUSSION WHEN WE CAN'T DISCLOSE WHO WE'RE TALKING
19 ABOUT OR WHAT INSTITUTION? MR. HARRISON.

20 MR. HARRISON: YOU CAN TALK ABOUT THE
21 QUALITIES OF THE APPLICANT AS DESCRIBED IN THE
22 SUMMARY. YOU CAN ASK ANY QUESTIONS ABOUT THE
23 SUMMARY, OR YOU CAN MOVE TO A VOTE IF THERE ARE NO
24 PUBLIC OR BOARD COMMENTS.

25 DR. POMEROY: IN REVIEWING THE REVIEWS OF

BARRISTERS' REPORTING SERVICE

1 THIS APPLICATION, IT SEEMED LIKE THERE WAS ABSOLUTE
2 CONSENSUS FOR THIS ONE OF HOW STRONG THIS PERSON WAS
3 AND HOW STRONG THE APPLICATION WAS WITH A VERY SMALL
4 STANDARD DEVIATION. SO I WOULD SUPPORT PROCEEDING
5 WITH A VOTE AT THIS POINT.

6 CHAIRMAN THOMAS: JUST THE COMMENT ON THE
7 FIRST APPLICANT.

8 MS. LANSING: I SECOND THAT.

9 CHAIRMAN THOMAS: OKAY. SO I THINK WE CAN
10 GO RIGHT TO THE QUESTION ON THIS ONE, IF THAT'S OKAY
11 WITH EVERYBODY. MARIA, PLEASE CALL THE ROLL.

12 MR. SHEEHY: I THINK PUBLIC COMMENT.

13 CHAIRMAN THOMAS: I'M SORRY. PUBLIC
14 COMMENT WITHOUT KNOWING WHO IT IS WE'RE DISCUSSING
15 HERE.

16 MS. SAMUELSON: THIS IS AT SOME POINT
17 GOING TO BE CONFUSING BECAUSE THERE IS PUBLIC
18 COMMENT ON THE SECOND ONE, AND PEOPLE NEED TO KNOW
19 THAT IT'S THE RIGHT TIME FOR THEM TO MAKE THEIR
20 COMMENT.

21 DR. POMEROY: I WANT TO MAKE IT VERY CLEAR
22 TO EVERYONE THAT THE MOTION WAS JUST ABOUT THE FIRST
23 ONE.

24 MS. SAMUELSON: THE FIRST ONE MAY NOT BE
25 CLEAR ENOUGH INFORMATION.

BARRISTERS' REPORTING SERVICE

1 DR. POMEROY: JUST NO. 536.

2 CHAIRMAN THOMAS: EVERYBODY HAS THE
3 INFORMATION ON THE APPLICANT IN THEIR PACKET. IF
4 ANYBODY WOULD LIKE TO SPEAK, WE'D BE HAPPY TO HEAR
5 YOU. BUT IF NOT, SEEING APPARENTLY NO PUBLIC
6 COMMENT ON THIS, MARIA, CAN YOU PROCEED WITH THE
7 ROLL?

8 MS. BONNEVILLE: ROBERT PRICE.

9 DR. PRICE: YES.

10 MS. BONNEVILLE: DAVID BRENNER.

11 DR. BRENNER: YES.

12 MS. BONNEVILLE: JACOB LEVIN.

13 DR. LEVIN: YES.

14 MS. BONNEVILLE: CLAIRE POMEROY.

15 DR. POMEROY: YES.

16 MS. BONNEVILLE: MARCY FEIT.

17 MS. FEIT: YES.

18 MS. BONNEVILLE: TED Krontiris. LEEZA
19 GIBBONS.

20 MS. GIBBONS: YES.

21 MS. BONNEVILLE: MICHAEL GOLDBERG.

22 MR. GOLDBERG: YES.

23 MS. BONNEVILLE: SAM HAWGOOD.

24 DR. HAWGOOD: YES.

25 MS. BONNEVILLE: STEPHEN JUELGAARD.

BARRISTERS' REPORTING SERVICE

1 DR. JUELSGAARD: YES.
2 MS. BONNEVILLE: SHERRY LANSING.
3 MS. LANSING: YES.
4 MS. BONNEVILLE: BERT LUBIN.
5 DR. LUBIN: YES.
6 MS. BONNEVILLE: SHLOMO MELMED.
7 DR. MELMED: YES.
8 MS. BONNEVILLE: PHIL PIZZO. FRANCISCO
9 PRIETO.
10 DR. PRIETO: AYE.
11 MS. BONNEVILLE: DUANE ROTH.
12 MR. ROTH: YES.
13 MS. BONNEVILLE: JOAN SAMUELSON.
14 MS. SAMUELSON: YES.
15 MS. BONNEVILLE: DAVID SERRANO-SEWELL.
16 MR. SERRANO-SEWELL: YES.
17 MS. BONNEVILLE: JEFF SHEEHY.
18 MR. SHEEHY: YES.
19 MS. BONNEVILLE: JONATHAN SHESTACK.
20 MR. SHESTACK: YES.
21 MS. BONNEVILLE: OSWALD STEWARD.
22 DR. STEWARD: YES.
23 MS. BONNEVILLE: JONATHAN THOMAS.
24 CHAIRMAN THOMAS: YES.
25 MS. BONNEVILLE: ART TORRES.

BARRISTERS' REPORTING SERVICE

1 MR. TORRES: AYE.

2 MS. BONNEVILLE: KRISTINA VUORI.

3 DR. VUORI: YES.

4 MS. BONNEVILLE: JAMES ECONOMOU.

5 DR. ECONOMOU: YES.

6 CHAIRMAN THOMAS: THE MOTION CARRIES. MR.
7 HARRISON, I PRESUME THAT HAVING PASSED THE MOTION,
8 WE CAN NOW DISCLOSE DETAILS. DR. YAFFE.

9 DR. YAFFE: THIS IS PROFESSOR ANDREW
10 MCMAHON, AND THE INSTITUTION IS USC. DR. MCMAHON --
11 I MEAN WE HAVE MANAGED TO RECRUIT HIM FROM HARVARD
12 UNIVERSITY WHERE HE WAS CO-DIRECTOR OF THE HARVARD
13 STEM CELL INSTITUTE. HE HAS AN ILLUSTRIOUS PEDIGREE
14 AND ACCOMPLISHMENTS, SOME OF WHICH ARE IN THE
15 SUMMARY. HE HAS ALREADY -- IS READY TO RESUME HIS
16 DUTIES AT USC.

17 CHAIRMAN THOMAS: THANK YOU, DR. YAFFE.
18 NOW, DO WE HEAR A MOTION FOR THE SECOND CANDIDATE TO
19 APPROVE?

20 MR. SHEEHY: SO MOVED.

21 CHAIRMAN THOMAS: MOVED BY MR. SHEEHY.

22 MR. SHESTACK: SECONDED.

23 CHAIRMAN THOMAS: SECONDED BY MR.
24 SHESTACK. IN THIS INSTANCE DO WE HAVE A REQUEST
25 TO -- I BELIEVE THERE'S INTEREST IN RETIRING TO

BARRISTERS' REPORTING SERVICE

1 CLOSED SESSION TO DISCUSS. MR. JUELSGAARD.

2 DR. JUELSGAARD: YES. I WOULD MOVE THAT
3 WE GO INTO CLOSED SESSION AND DISCUSS.

4 CHAIRMAN THOMAS: IS THAT SOMETHING WE
5 NEED A VOTE ON, MR. HARRISON? NO. SO I'M ADVISED
6 BY MR. HARRISON ON THIS THAT WE WILL GO INTO CLOSED
7 SESSION RIGHT NOW. AND THAT WHEN WE COME OUT, WE
8 WILL GET PUBLIC COMMENT ON THIS AT THAT TIME; IS
9 THAT CORRECT, MR. HARRISON?

10 MR. HARRISON: YES.

11 CHAIRMAN THOMAS: OKAY. SO I WOULD ASK
12 MEMBERS OF THE BOARD TO GO WHERE?

13 MS. BONNEVILLE: TO THE SAN RAMON ROOM
14 WHERE YOU GRABBED LUNCH.

15 CHAIRMAN THOMAS: TO THE LUNCHROOM
16 SO-CALLED. PLEASE PROCEED IMMEDIATELY OVER THERE.
17 AND WE WILL GO -- MR. HARRISON, WHAT IS IT WE ARE
18 ADDRESSING IN CLOSED SESSION?

19 MR. HARRISON: THANK YOU, CHAIR. THE
20 BOARD WILL BE CONVENING IN CLOSED SESSION TO DISCUSS
21 APPLICATIONS FOR DISEASE TEAM THERAPY DEVELOPMENT
22 AWARDS AS WELL AS RESEARCH LEADERSHIP APPLICATION
23 NO. 6535 PURSUANT TO HEALTH AND SAFETY CODE SECTION
24 125290.30(F)(3)(B) AND (C).

25 CHAIRMAN THOMAS: THANK YOU.

BARRISTERS' REPORTING SERVICE

1 (THE BOARD THEN WENT INTO CLOSED
2 SESSION, NOT REPORTED NOR HEREIN TRANSCRIBED. THE
3 FOLLOWING WAS THEN HEARD IN OPEN SESSION:)

4 CHAIRMAN THOMAS: MEMBERS OF THE BOARD,
5 PLEASE TAKE YOUR SEATS. ONCE THE BOARD MEMBERS HAVE
6 TAKEN THEIR SEATS, WE'LL RESUME HERE. IT'S MY
7 UNDERSTANDING THAT MARCY HAD TO STEP OUT OF THE ROOM
8 FOR A MOMENT, MAY TAKE A COUPLE MINUTES TO GET BACK
9 IN. SO, MR. HARRISON, WHILE MARCY STEPPED OUT AND
10 IS GOING TO BE COMING BACK IN A COUPLE OF MINUTES,
11 WE CAN STILL PROCEED TO DISCUSSION, CORRECT? WE
12 JUST CAN'T VOTE ON ANYTHING BECAUSE SHE'S REQUIRED
13 FOR THE QUORUM?

14 MR. HARRISON: CORRECT.

15 CHAIRMAN THOMAS: MARIA, HOW WE DOING?

16 MS. BONNEVILLE: WE ARE WAITING FOR JOAN
17 AND OS TO GET BACK INTO THE ROOM.

18 CHAIRMAN THOMAS: JOAN AND OS. OKAY. SO
19 LET'S JUST -- WE CAN START DISCUSSION THOUGH,
20 CORRECT?

21 MR. HARRISON: YES.

22 CHAIRMAN THOMAS: OKAY. SO WE CAN'T VOTE
23 ON ANYTHING TILL WE GET THE QUORUM BACK. THERE'S
24 OS. WE NEED JOAN AND MARCY TO BE BACK. IS DAVID
25 HERE? THE OTHER DAVID. OKAY.

BARRISTERS' REPORTING SERVICE

1 SO I THINK WE CAN'T VOTE UNTIL WE GET OUR
2 QUORUM BACK, SO WE CAN PROCEED TO DISCUSSION. MR.
3 HARRISON, IF YOU COULD LAY OUT WHERE THINGS ARE
4 GOING FROM HERE.

5 MR. HARRISON: I WILL TRY MY BEST. WE
6 HAVE SEVEN APPLICATIONS WHICH ARE NOW IN TIER I. WE
7 NEED TO APPROVE FUNDING FOR THOSE APPLICATIONS. WE
8 HAVE ONE MOTION THAT HAS BEEN TABLED, AND THAT
9 MOTION IS TO MOVE APPLICATION 57 -- TO REFER
10 APPLICATION 5739 FOR ADDITIONAL REVIEW BY THE GRANTS
11 WORKING GROUP OR SOME SUBSET OF IT. WE CAN BRING
12 THAT MOTION BACK AND TAKE A VOTE ON IT.

13 MR. SHESTACK: OR IF WE WANT THE MOTION --
14 DOES THE PERSON WHO MADE THE MOTION STILL WANT IT?

15 MR. HARRISON: THAT'S WHAT I WAS
16 SUGGESTING. WE'D TAKE A VOTE ON THAT MOTION.

17 CHAIRMAN THOMAS: WE CAN VOTE ON THAT?

18 MR. HARRISON: ONCE WE HAVE A QUORUM, WE
19 CAN.

20 CHAIRMAN THOMAS: CORRECT.

21 MR. ROTH: MR. CHAIRMAN, THERE APPEAR TO
22 BE A NUMBER OF GRANTS THAT MAY, IN FACT, BE
23 REFERRED. AND WOULD THOSE NEED TO BE TAKEN
24 INDIVIDUALLY, OR COULD THEY BE LUMPED TOGETHER IN
25 ONE VOTE?

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: I'M NOT SURE. I'LL ASK
2 MR. HARRISON IF THAT NEEDS A VOTE OR IF WE JUST --

3 MR. HARRISON: I WAS TALKING WITH PAT
4 OLSON.

5 MR. ROTH: THE QUESTION WAS COULD A NUMBER
6 OF THE GRANTS THAT ARE GOING TO BE RECOMMENDED FOR
7 REFERRAL FOR FURTHER REVIEW BE DONE AS A GROUP, OR
8 DO THEY HAVE TO BE DONE INDIVIDUALLY?

9 MR. HARRISON: WE SHOULD TAKE THEM UP
10 INDIVIDUALLY. AT THIS TIME THERE'S JUST ONE THAT'S
11 THE SUBJECT OF A MOTION. THERE ARE OTHERS THAT HAVE
12 ALREADY BEEN REFERRED. SO IF YOU WOULD LIKE TO MAKE
13 A MOTION WITH RESPECT TO AN ADDITIONAL ONE, WE COULD
14 DO THAT AT THIS TIME.

15 MR. TORRES: SO TWO HAVE BEEN REFERRED,
16 THE ALZHEIMER'S AND THE HEART, CORRECT?

17 CHAIRMAN THOMAS: YES.

18 MR. TORRES: THOSE ARE DONE.

19 CHAIRMAN THOMAS: YES.

20 MR. HARRISON: THREE APPLICATIONS HAVE
21 ALREADY BEEN REFERRED FOR ADDITIONAL CONSIDERATION
22 OF NEW INFORMATION. THEY ARE APPLICATIONS 5416,
23 5735, AND 5426.

24 DR. STEWARD: QUESTION. AS LONG AS WE
25 CAN'T VOTE, IF WE DO NOT TAKE ACTION, IS IT POSSIBLE

BARRISTERS' REPORTING SERVICE

1 FOR SCIENCE STAFF TO CONTINUE TO GATHER INFORMATION
2 ALONG THE LINES THAT WE'VE DISCUSSED ON SOME OF
3 THESE PROPOSALS?

4 MR. HARRISON: TO THE EXTENT THAT YOU WANT
5 TO GIVE THAT DIRECTION TO SCIENCE STAFF, YOU CAN,
6 AND THEN YOU CAN DEFER --

7 DR. STEWARD: WHAT I'M ASKING IS IF WE DID
8 NOT HAVE TIME TO VOTE FULLY ON THOSE, COULD SCIENCE
9 STAFF CONTINUE TO GATHER?

10 MR. HARRISON: YES. AND THEN WE WOULD
11 JUST BRING THEM BACK TO THE BOARD AT ITS NEXT
12 MEETING.

13 DR. STEWARD: JUST TO SAY THAT MIGHT BE AN
14 APPROACH FOR SOME OF THESE THINGS THAT WE THINK ARE
15 GOING TO NEED TO HAVE ADDITIONAL INFORMATION ANYWAY.
16 IF WE DON'T GET TO THEM, THEY'LL BE IN CONTINUANCE
17 BY DEFAULT? WHAT I'M ACTUALLY SAYING HERE IS THAT I
18 WOULD SAY, AS SOON AS WE CAN, LET'S VOTE ON THE ONES
19 THAT WE CAN VOTE ON. AND IF WE DON'T GET TO SOME,
20 THAT'S FINE.

21 CHAIRMAN THOMAS: WELL, I THINK THE --

22 DR. TROUNSON: SO, CHAIR, WE WOULD NEED AS
23 MUCH CLARITY AS POSSIBLE, I THINK. I KNOW STAFF ARE
24 TAKING NOTES ON THIS, BUT I THINK WE NEED TO BE AS
25 CLEAR AS POSSIBLE ABOUT THE GROUNDS AND WHAT THE

BARRISTERS' REPORTING SERVICE

1 ICOC WANTS US TO DO SO THAT WE CAN ACTUALLY COME
2 BACK WITH A FIRM RESPONSE THAT MEETS YOUR
3 EXPECTATIONS. SO I DON'T THINK GOING OFF AND JUST
4 DOING OUR OWN IS REALLY A GOOD WAY OF DOING IT. WE
5 NEED TO BE VERY CLEAR WHAT WE SHOULD DO.

6 CHAIRMAN THOMAS: WITH RESPECT TO THE
7 RECONSIDERATION?

8 DR. TROUNSON: YEAH.

9 CHAIRMAN THOMAS: I THINK WHAT WE'VE
10 DISCUSSED WAS THAT WITH RESPECT TO THOSE THAT YOU
11 AREN'T CONFLICTED OUT ON, THAT YOU AND MR. SHEEHY --

12 DR. TROUNSON: I GOT THAT, YEAH.

13 CHAIRMAN THOMAS: -- WOULD SIT DOWN AND
14 DEVISE THE PROPER PROTOCOL FOR REVISITING WITH
15 EITHER THE CHAIR OF THE GRANTS WORKING GROUP OR A
16 SUBSET THEREOF AND WOULD CONVENE THEM IN A FASHION
17 WITH MATERIALS IN ADVANCE THAT THEY CAN CONDUCT A
18 RECONSIDERATION OF. WHAT BEYOND THAT DO YOU NEED?

19 DR. TROUNSON: I UNDERSTOOD THOSE ARE NEW
20 MATERIALS, NEW MATERIALS, THAT'S WHAT WE'LL BE
21 DOING. THERE WILL BE NEW MATERIALS. I'M NOT SURE
22 THE ONE I WAS CONFLICTED ON. IT DOESN'T MATTER.
23 WE'LL PICK THAT UP. BUT ANY OTHERS, I THINK THERE
24 WAS A PUBLICATION INVOLVING ONE. SO WE WOULD NEED
25 TO BE JUST CLEAR ABOUT WHAT IT WAS.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: I BELIEVE STAFF WILL
2 HAVE TAKEN NOTES TODAY ON EVERYTHING THAT WAS
3 OFFERED THAT WAS NEW THAT THE BOARD FELT WARRANTED
4 FURTHER REVIEW.

5 MS. BONNEVILLE: MARCY, ARE YOU THERE?

6 MS. FEIT: YES, I AM.

7 MR. TORRES: MR. CHAIRMAN, I MOVE THAT WE
8 APPROVE THOSE ITEMS THAT ARE IN TIER NO. I FOR
9 FUNDING IN TIER I.

10 MR. SHESTACK: I SECOND.

11 MR. HARRISON: LET ME JUST READ THE
12 APPLICATION NUMBERS FOR THE RECORD. THAT WOULD
13 INCLUDE APPLICATION 5415, 5309, 5302, 5423, 5736,
14 5394, AND THEN 5320, WHICH WAS MOVED INTO TIER I BY
15 A VOTE OF THE BOARD.

16 MS. FEIT: JAMES, COULD YOU READ THOSE
17 LAST THREE AGAIN, PLEASE?

18 MR. HARRISON: THE LAST THREE ARE 5736,
19 5394, AND 5320.

20 MS. FEIT: OKAY. THANK YOU.

21 MR. TORRES: CALL THE ROLL.

22 CHAIRMAN THOMAS: MARIA, WILL YOU PLEASE
23 CALL THE ROLL. WHO WAS THE SECOND, BY THE WAY,
24 AGAIN?

25 MR. TORRES: DR. HAWGOOD AND JON SHESTACK.

BARRISTERS' REPORTING SERVICE

1 MR. HARRISON: WE NEED TO HAVE ANOTHER
2 SECOND FOR THIS MOTION. WE NEED ANOTHER SECOND FOR
3 THIS MOTION.

4 MR. ROTH: I WILL SECOND THAT.

5 CHAIRMAN THOMAS: OKAY. MAY WE PROCEED?

6 MR. HARRISON: WE CAN. JUST A REMINDER TO
7 MEMBERS, BECAUSE THIS IS AN OMNIBUS MOTION, PLEASE
8 YES OR NO EXCEPT AS TO THOSE WITH WHICH YOU HAVE A
9 CONFLICT.

10 MS. BONNEVILLE: ROBERT PRICE.

11 DR. PRICE: YES, EXCEPT FOR THOSE WITH
12 WHICH I HAVE A CONFLICT.

13 MS. BONNEVILLE: JACOB LEVIN.

14 DR. LEVIN: YES, EXCEPT FOR THOSE WITH
15 WHICH I HAVE A CONFLICT.

16 MS. BONNEVILLE: CLAIRE POMEROY.

17 DR. POMEROY: YES, EXCEPT FOR THOSE WITH
18 WHICH I HAVE A CONFLICT.

19 MS. BONNEVILLE: MARCY FEIT.

20 MS. FEIT: YES, EXCEPT FOR THOSE WITH
21 WHICH I HAVE A CONFLICT.

22 MS. BONNEVILLE: LEEZA GIBBONS.

23 MS. GIBBONS: YES. I HAVE NO CONFLICTS.

24 MS. BONNEVILLE: MICHAEL GOLDBERG.

25 MR. GOLDBERG: YES, EXCEPT FOR THOSE WITH

BARRISTERS' REPORTING SERVICE

1 WHICH I HAVE A CONFLICT.

2 MS. BONNEVILLE: SAM HAWGOOD.

3 DR. HAWGOOD: YES, EXCEPT FOR THOSE WITH
4 WHICH I HAVE A CONFLICT.

5 MS. BONNEVILLE: STEPHEN JUELSGAARD.

6 DR. JUELSGAARD: YES.

7 MS. BONNEVILLE: BERT LUBIN.

8 DR. LUBIN: YES, EXCEPT FOR THOSE WITH
9 WHICH I HAVE A CONFLICT.

10 MS. BONNEVILLE: FRANCISCO PRIETO.

11 DR. PRIETO: YES, EXCEPT FOR THOSE WITH
12 WHICH I HAVE A CONFLICT.

13 MS. BONNEVILLE: DUANE ROTH.

14 MR. ROTH: YES.

15 MS. BONNEVILLE: JOAN SAMUELSON.

16 MS. SAMUELSON: YES.

17 MS. BONNEVILLE: DAVID SERRANO-SEWELL.

18 MR. SERRANO-SEWELL: YES.

19 MS. BONNEVILLE: JEFF SHEEHY.

20 MR. SHEEHY: YES, EXCEPT FOR THOSE WITH
21 WHICH I HAVE A CONFLICT.

22 MS. BONNEVILLE: JONATHAN SHESTACK.

23 MR. SHESTACK: YES.

24 MS. BONNEVILLE: OSWALD STEWARD.

25 DR. STEWARD: YES, EXCEPT FOR THOSE WITH

BARRISTERS' REPORTING SERVICE

1 WHICH I HAVE A CONFLICT.

2 MS. BONNEVILLE: JONATHAN THOMAS.

3 CHAIRMAN THOMAS: YES.

4 MS. BONNEVILLE: ART TORRES.

5 MR. TORRES: AYE.

6 MS. BONNEVILLE: KRISTINA VUORI.

7 DR. VUORI: YES.

8 MS. BONNEVILLE: MOTION CARRIES.

9 (APPLAUSE.)

10 CHAIRMAN THOMAS: CONGRATULATIONS TO
11 EVERYBODY.

12 MR. HARRISON, COULD YOU PLEASE DESCRIBE
13 OUR NEXT MOVE?

14 MR. HARRISON: CORRECT. WE HAVE A MOTION
15 THAT WAS TABLED THAT I'D SUGGEST THE BOARD RETURN TO
16 AT THIS POINT, WHICH IS THE MOTION MADE BY MR.
17 JUELSGAARD AND SECONDED BY DR. VUORI TO REFER
18 APPLICATION 5739 FOR ADDITIONAL REVIEW.

19 CHAIRMAN THOMAS: ANY FURTHER BOARD
20 DISCUSSION ON THAT MOTION? MR. JUELSGAARD, WOULD
21 YOU LIKE TO RESTATE YOUR RATIONALE?

22 MR. HARRISON: UNLESS SOMEONE WANTS TO
23 MOVE IT OFF THE TABLE --

24 MR. JUELSGAARD: I SO MOVE TO TAKE THE
25 MOTION OFF THE TABLE.

BARRISTERS' REPORTING SERVICE

1 MR. ROTH: SECOND.

2 CHAIRMAN THOMAS: MOVED AND SECONDED. ALL
3 THOSE IN FAVOR PLEASE SAY AYE. AYE. OPPOSED?
4 ABSTENTIONS? MOTION CARRIES.

5 MOTION IS BACK ON THE TABLE. MR.
6 JUELSGAARD, WOULD YOU LIKE TO FURTHER COMMENT?

7 DR. JUELSGAARD: NO.

8 DR. STEWARD: JAMES, I DID NOT VOTE.

9 CHAIRMAN THOMAS: THE MOTION IS BACK ON
10 THE TABLE. IT'S UP FOR DISCUSSION. MR. HARRISON IS
11 HOLDING HIS FACE. THIS IS NOT A GOOD SIGN. LET US
12 PROCEED. IF THERE'S NO FURTHER -- WE'RE NOW
13 CONSIDERING THE MOTION. SO IT'S TO REFER TO THE
14 GROUP TO RECONSIDER.

15 MR. JUELSGAARD, THERE WAS AN ORIGINAL
16 SECOND, WHICH PERHAPS MR. HARRISON COULD --

17 MR. HARRISON: DR. VUORI WAS THE SECOND.

18 CHAIRMAN THOMAS: OKAY. SO THE MOTION NOW
19 FOR CONSIDERATION IS.

20 MR. HARRISON: THE MOTION FOR
21 CONSIDERATION IS TO REFER APPLICATION 5739 FOR
22 ADDITIONAL REVIEW OF NEW INFORMATION BY THE PEER
23 REVIEW GROUP WITH DIRECTION TO THE PRESIDENT AND
24 CO-VICE CHAIR SHEEHY TO DETERMINE THE MAKEUP OF THE
25 PEER REVIEW PANEL OR SUBSET OF THE PANEL AND REQUEST

BARRISTERS' REPORTING SERVICE

1 A RECOMMENDATION AT THE NEXT REGULARLY SCHEDULED
2 BOARD MEETING.

3 MR. SHEEHY: I WOULD URGE MEMBERS TO VOTE
4 AGAINST THIS MOTION. SORRY, MR. JUELSGAARD, BUT I
5 HAVEN'T SEEN ANY NEW INFORMATION THAT WE WOULD HAVE
6 TO CONSIDER. BUT IF WE ARE GOING TO CONSIDER IT,
7 UNLESS THERE'S A WITHDRAWAL OF THE MOTION, PERHAPS,
8 BUT STILL LOOKING FOR INFORMATION THAT WE WOULD SEND
9 BACK TO BE REVIEWED.

10 MR. TORRES: SO AT THIS POINT THIS CURRENT
11 GROUP HAS AN AWARD THEY ARE IMPLEMENTING AS WE
12 SPEAK, CORRECT, A \$4 MILLION AWARD?

13 DR. TROUNSON: THAT'S CORRECT.
14 TRANSLATION, EARLY TRANSLATION AWARD, THAT'S
15 CORRECT. ONE YEAR, SO THEY'RE IN THEIR SECOND YEAR
16 NOW.

17 DR. HAWGOOD: JUST AS A POINT OF
18 CLARIFICATION, IF WE ARE REFERRING BACK FOR FURTHER
19 REVIEW, IT'S ON THE BASIS OF MATERIALS THAT YOU'VE
20 ALREADY RECEIVED, NOT GOING BACK TO THEM TO GIVE YOU
21 YET MORE MATERIALS; IS THAT CORRECT? IS THAT THE
22 BASIS OF THE --

23 DR. TROUNSON: WELL, I DON'T KNOW WHAT
24 WE'D REFER IT BACK TO IF YOU DON'T HAVE NEW
25 INFORMATION. I'M NOT SURE THAT THE GRANTS WORKING

BARRISTERS' REPORTING SERVICE

1 GROUP CAN REALLY NECESSARILY GIVE YOU --

2 DR. HAWGOOD: MY QUESTION IS IF ANY OF
3 THEM, INCLUDING THE ONES WE'VE ALREADY REFERRED
4 BACK, YOU'RE NOT GOING TO REACH OUT TO THE GRANTEES
5 TO GIVE YOU YET MORE INFORMATION.

6 DR. TROUNSON: NO. NO. WE NEED TO GET
7 THE INFORMATION FROM THEM VERY CLEARLY. I NEED TO
8 TALK TO JEFF, BUT WE WOULD VERY CLEARLY NEED TO GET
9 AS MUCH INFORMATION AS APPROPRIATE.

10 DR. PRICE: I THINK THERE'S A DIFFERENT
11 QUESTION.

12 MR. SHESTACK: THEY KNOW WHAT INFORMATION
13 THEY'RE ASKING FOR. MARBAN AND THE DMD, THERE'S
14 SPECIFIC NEW INFORMATION THEY WANT SUBSTANTIATION
15 OF. IT'S NOT JUST A GENERAL GIVE ME NEW INFORMATION
16 IF YOU GOT IT.

17 MS. SAMUELSON: THERE ARE POLICY MATTERS
18 THAT HAVE ARISEN TODAY I THINK WE WANT TO MAKE
19 CERTAIN HAVE BEEN RESOLVED AND HOW.

20 CHAIRMAN THOMAS: SO, DR. TROUNSON, WHAT
21 YOU'RE SAYING IS NO NEW INFORMATION THERE NOW.
22 THERE COULD BE INFORMATION THAT YOU COULD SOLICIT
23 THAT WOULD FURTHER ENLIGHTEN. IS THAT WHAT YOU ARE
24 SAYING?

25 DR. TROUNSON: I THINK WE WANT AS FULL OF

BARRISTERS' REPORTING SERVICE

1 INFORMATION AS IS POSSIBLE. SO YOU REMEMBER THAT IN
2 ONE OF THE PROJECTS IT WAS A COMPANY NEWS RELEASE.
3 WE WOULD WANT A LITTLE MORE INFORMATION THAN THAT,
4 I'D SUGGEST. AND SO I THINK WE SHOULD SEEK THAT.
5 SO I JUST WANT TO MAKE SURE THAT THE INFORMATION
6 THAT WE SEE THAT WE CAN GET HOLD OF AND PROVIDE TO
7 THE GRANTS WORKING GROUP WOULD BE SUFFICIENT FOR
8 THEM TO MAKE IT WORTHWHILE FOR THEM TO DO A THOROUGH
9 REVIEW. REMEMBER, YOU GOT TO DRAW IT FROM DOWN
10 AROUND 40S TO MAKE IT APPLICABLE.

11 CHAIRMAN THOMAS: I'M ASKING WITH SPECIFIC
12 PERTINENCE TO THIS APPLICATION. IS THERE ADDITIONAL
13 INFORMATION YOU WOULD WANT TO HAVE?

14 DR. TROUNSON: IF YOU REFER IT TO US, WE
15 WILL HAVE TO GO AND FIND SOME ADDITIONAL INFORMATION
16 BECAUSE IT'S NOT BEEN PROVIDED. SO WE WILL HAVE TO
17 SEEK ADDITIONAL INFORMATION. I THINK WHAT I HEARD
18 JEFF SHEEHY SAY THAT HE DIDN'T THINK THAT THERE WAS.
19 BUT IF YOU REFER IT TO US, WE WILL HAVE TO GO AND
20 FIND OUT IF THERE IS, AND THEN WE'LL PUT THAT TO THE
21 REVIEW GROUP. THAT WILL BE WHAT WE'LL NEED TO DO.

22 CHAIRMAN THOMAS: SO THIS IS DIFFERENT
23 THAN THE OTHER THREE WHERE INFORMATION WAS --

24 DR. TROUNSON: I CAN'T ANSWER FOR ONE OF
25 THEM, BUT THE OTHER TWO, YES.

BARRISTERS' REPORTING SERVICE

1 DR. POMEROY: SO, J.T., IF I COULD, WHAT
2 I'VE JUST HEARD EVERYBODY SAY IS TO SEND IT BACK FOR
3 FURTHER REVIEW BECAUSE THERE'S NEW INFORMATION. WE
4 HAVE TO HAVE SOME INDICATION THAT THERE IS FURTHER
5 INFORMATION. AFTER THAT, THE SPECIFICS OF THAT
6 FURTHER INFORMATION WOULD BE OBTAINED BY STAFF. BUT
7 ON THIS PARTICULAR ONE, THE QUESTION IS DO WE HAVE
8 ANY REASON TO REFER IT BACK? DO WE HAVE ANY
9 EVIDENCE THAT THERE IS FURTHER INFORMATION? AND I
10 THINK WHAT JEFF WAS SAYING IS HE HADN'T HEARD IT,
11 AND I'M NOT SURE I HAVE. SO DID ANYONE HEAR ANY
12 INDICATION THAT THERE'S FURTHER INFORMATION ON THIS?

13 MR. TORRES: THE ONLY INDICATION I'VE
14 HEARD IS THAT THE INFORMATION THAT WAS PROVIDED IS
15 INCOMPLETE. IT IS NOT A COMPLETE APPLICATION.

16 CHAIRMAN THOMAS: DR. TROUNSON.

17 DR. TROUNSON: I DID HEAR THEM SAY THAT
18 THEY'VE MANUFACTURED A NEW BASE OF CELLS. NOW,
19 WHETHER THAT'S SUFFICIENT TO WARRANT THE REVIEW; AND
20 IF WE GO AND FIND THAT INFORMATION OUT, I SUSPECT WE
21 CAN FIND THAT OUT, BUT WHETHER THAT WILL HELP THE
22 PROJECT OR NOT, WE'LL HAVE TO WAIT AND SEE.

23 I THOUGHT THAT WAS THE ONLY THING THAT I
24 GATHERED, THAT THERE WAS A NEW BATCH, THAT THEY'VE
25 DONE THAT PRODUCTION RUN. AND SO THEY'VE

BARRISTERS' REPORTING SERVICE

1 ANSWERED -- AT LEAST IT WOULD GO TO AT LEAST PARTLY
2 ANSWER ONE OF THE ISSUES, RIGHT?

3 MR. TORRES: I'M JUST SENSITIVE TO THE
4 TIME FACTOR THAT'S INVOLVED AND THE WORK THAT'S
5 INVOLVED ON THE PART OF STAFF, THAT AT SOME POINT
6 WE'RE GOING TO HAVE TO DRAW THE LINE. AND IF
7 SOMEONE'S APPLICATION WAS NOT COMPLETE, THEN HOW
8 MANY TIMES DO WE OPEN THE DOOR AGAIN? WE'RE TRYING
9 TO BE TRANSPARENT, WE'RE TRYING TO BE FAIR. SO I
10 THINK AT THIS POINT, LET'S FIND OUT WHAT THEY'VE GOT
11 AND CONFIRM IT. AND IF THEY DON'T HAVE IT, THEN WE
12 CAN'T CONFIRM IT.

13 DR. TROUNSON: AGREE. I THINK IF YOU PUT
14 IT INTO THE PROCESS, WE WILL GO AND DO OUR BEST.
15 BUT AS YOU QUITE RIGHTLY SAY, MAYBE YOU CAN SAY THAT
16 FOR A LOT OF THE PROJECTS AND WE HAVEN'T DONE THAT.
17 SO IN SOME RESPECTS, THAT'S A LITTLE NEW. BUT
18 STILL, IF YOU WANT US TO DO THAT, WE WILL DO IT.
19 BUT IF YOU FEEL THAT IT IS UNWARRANTED, YOU NEED TO
20 MAKE THAT DECISION YOURSELVES.

21 CHAIRMAN THOMAS: MR. JUELSGAARD IS THE
22 ORIGINAL MAKER OF THE MOTION.

23 DR. JUELSGAARD: SO MY UNDERSTANDING IS,
24 AND I THINK WE SHOULD JUST TALK ABOUT IT FOR A
25 MOMENT, IS THAT THERE'S -- MR. TORRES REFERRED TO

BARRISTERS' REPORTING SERVICE

1 THIS -- THAT THERE'S ALREADY AN EARLY TRANSLATIONAL
2 GRANT OF \$4 MILLION THAT'S BEEN MADE, WHICH WAS A
3 THREE-YEAR GRANT, AND THAT GRANT IS NOW ONLY ONE
4 YEAR THROUGH THAT THREE-YEAR PROCESS. AND THAT
5 MOVING THIS TO THE NEXT STAGE MAY BE PREMATURE GIVEN
6 THAT THERE'S MORE EARLY TRANSLATIONAL WORK THAT
7 MIGHT BE DONE, WHICH IS DIFFERENT THAN PERHAPS
8 NEEDING MORE INFORMATION.

9 SO THAT FOR ME WAS A NEW FACT, SOMETHING
10 THAT WASN'T DISCUSSED PREVIOUSLY. AND I THINK IT'S
11 IMPORTANT THAT WE PUT THAT ON THE TABLE BECAUSE I
12 THINK THAT'S A FACTOR IN CONSIDERING WHERE TO GO
13 HERE.

14 CHAIRMAN THOMAS: ANY FURTHER COMMENT?

15 MR. TORRES: CALL FOR THE QUESTION.

16 DR. VUORI: I WOULD LIKE TO MAYBE ECHO
17 WHAT STEPHEN JUST SAID. SO MY BASIS FOR THINKING OF
18 REFERRING THIS BACK TO THE WORKING GROUP WAS THE
19 NOTION THAT IN THE WRITTEN EDITION, OTHER
20 THERAPEUTIC DEVELOPMENT READINESS, I INTERPRETED
21 THAT THE FOUR POINTS THAT WERE ADDRESSED THERE, THAT
22 RELATED TO HAVING GMP PRODUCT, HAVING CELL
23 (UNINTELLIGIBLE) MANUFACTURED, THAT ALL THIS IS NEW
24 INFORMATION AND NEW DATA. AND THAT (UNINTELLIGIBLE)
25 PROGRESS CLOSE TO BEING READY FOR THERAPEUTIC

BARRISTERS' REPORTING SERVICE

1 DEVELOPMENT. CERTAINLY FOR THOSE WHO ARE MORE
2 FAMILIAR WITH THE APPLICATION AS TO WHAT IS NEW AND
3 WHAT'S NOT.

4 CHAIRMAN THOMAS: SO, KRISTINA, ARE YOU
5 SAYING YOU'RE IN FAVOR OF REFERRING IT FOR
6 RECONSIDERATION OR NOT?

7 DR. VUORI: SO BASED ON INFORMATION THAT I
8 HAVE IN FRONT OF ME, I HAVE INTERPRETED THAT THERE
9 IS NEW INFORMATION SINCE THE ORIGINAL SUBMISSION AND
10 REVIEW OF THIS APPLICATION. BUT I HAVE NOT SEEN THE
11 ORIGINAL APPLICATION AND, HENCE, I DON'T KNOW THAT
12 FOR A FACT. IF THERE IS NEW DATA, THEN I THINK WE
13 SHOULD REFER IT BACK TO THE WORKING GROUP. BUT I'M
14 NOW HEARING THE COMMENTS, ESPECIALLY FROM ALAN
15 TROUNSON, I'M NOT SURE IF THIS IS ACTUALLY NEW DATA
16 OR IF THEY ARE JUST REPEATING THE SAME INFORMATION
17 THAT THEY ORIGINALLY HAD IN PLACE.

18 CHAIRMAN THOMAS: DR. TROUNSON, DO YOU
19 WANT TO COMMENT ON THAT?

20 DR. TROUNSON: SORRY, CHAIR. I CAN'T
21 UNDERSTAND WHAT SHE'S SAYING UNFORTUNATELY UNLESS
22 YOU CAN HELP ME UNDERSTAND THE ACTUAL QUESTION.

23 CHAIRMAN THOMAS: SHE WAS SAYING, AS SHE
24 SEES THE PETITION, THAT THERE WAS -- THERE WERE A
25 NUMBER OF POINTS WHICH SUGGESTED THERE WAS NEW DATA

BARRISTERS' REPORTING SERVICE

1 BEING MADE AVAILABLE, AND THAT HER THOUGHT ON WHY
2 THIS SHOULD BE POTENTIALLY REFERRED FOR
3 RECONSIDERATION IS BASED ON THAT SUGGESTION OF NEW
4 DATA. IS THAT ACCURATE, KRISTINA?

5 DR. VUORI: THAT'S CORRECT.

6 DR. TROUNSON: WELL, I THINK IT'S A MATTER
7 OF HOW MUCH IS NEW DATA, TO BE HONEST. IT DIDN'T
8 PASS OUR STAFF HIGH BAR FOR NEW INFORMATION, AND SO
9 WE DIDN'T FEEL THAT IT DID THAT. MAYBE THE ICOC
10 FEELS DIFFERENTLY. BUT, YES, THERE IS SOME NEW
11 INFORMATION, BUT I'M UNSURE WHETHER THAT'S REALLY
12 PARTICULARLY SIGNIFICANT WITH RESPECT TO THE GRANT.
13 BUT IF YOU REFER IT BACK, WE WILL TAKE WHAT
14 INFORMATION WE CAN FIND AND GET IT INTO THE GRANTS
15 REVIEW AND JUST SEE. BUT STAFF ARE -- I THINK WE'RE
16 FAIRLY -- WE WERE FAIRLY COMFORTABLE THAT THERE
17 WASN'T SIGNIFICANT NEW INFORMATION.

18 CHAIRMAN THOMAS: BRIEFLY, DOCTOR, IF
19 YOU'D LIKE TO COMMENT ON THAT.

20 DR. KLASSEN: YES, THANK YOU. SO WE MADE
21 THE NEW GMP BANK. THAT'S THE MAJOR NEW INFORMATION.
22 THERE'S ADDITIONAL INFORMATION IN OUR EARLY
23 TRANSLATIONAL TO FIRST-YEAR REPORT THAT THE
24 REVIEWERS DIDN'T HAVE AVAILABLE TO THEM. AND
25 THERE'S THE ORPHAN DRUG DESIGNATION APPROVAL. BUT

BARRISTERS' REPORTING SERVICE

1 THE GMP PART IS CENTRAL TO THEIR CRITICISMS, AND
2 THAT'S WHY I BRING IT UP. I THINK THAT WAS REALLY
3 THEIR ONLY MAJOR CRITICISM. AND SO THEY SAID YOU'RE
4 WORKING ON GTP CELLS AND YOU PLAN TO MAKE GMP.
5 WELL, WE MADE GMP. SO NOW WE DON'T HAVE TO STRUGGLE
6 WITH GTP, AND SO ALL THE MYRIAD CONCERNS RELATED TO
7 THAT ARE BASICALLY IN THE REAR-VIEW MIRROR NOW.
8 IT'S OVER WITH.

9 CHAIRMAN THOMAS: MR. JUELSGAARD, YOU HAVE
10 A THOUGHT ON THAT POINT? DR. TROUNSON, IN YOUR
11 OPINION, HOW CENTRAL TO THE SCORING WAS THAT
12 PARTICULAR PERCEIVED FAILURE?

13 DR. TROUNSON: WELL, IT WAS CERTAINLY
14 CONSIDERED A WEAKNESS IN THE PROJECT, AND I THINK
15 IT'S IMPORTANT FOR US TO KNOW THAT. BUT AS I SAID,
16 I DON'T WANT TO TRY AND MAKE YOUR DECISION FOR YOU,
17 BUT I THINK IT IS A FACTOR. HOW MUCH OF A FACTOR, I
18 THINK WE WOULD NEED TO -- IF YOU REFER IT BACK TO
19 US, WE'LL HAVE TO GET THE GRANTS WORKING GROUP TO
20 DECIDE ON IT.

21 CHAIRMAN THOMAS: OKAY. SO THERE IS A
22 MOTION ON THE TABLE HERE TO REFER THIS FOR FURTHER
23 CONSIDERATION BASED ON NEW DATA, THAT BEING THE
24 PRINCIPAL ITEM. AND WE'RE NOT ENTIRELY CLEAR
25 EXACTLY HOW THAT WOULD INFLUENCE THE PEER REVIEW

BARRISTERS' REPORTING SERVICE

1 PROCESS, THOUGH IT DOES SOUND LIKE A MAJOR FACTOR.
2 SO IS THERE ANY OTHER COMMENT ON THE SUBJECT? OKAY.
3 MR. HARRISON, CAN YOU REPEAT THE MOTION, PLEASE?

4 MR. HARRISON: YES. THE MOTION IS TO
5 REFER APPLICATION 5739 FOR ADDITIONAL REVIEW OF NEW
6 INFORMATION BY THE PEER REVIEW GROUP WITH DIRECTION
7 TO THE PRESIDENT AND CO-VICE CHAIR SHEEHY TO
8 DETERMINE THE MAKEUP OF THE PEER REVIEW PANEL OR
9 SUBSET OF THE PANEL AND REQUEST THAT THE PEER REVIEW
10 RECOMMENDATION BE PRESENTED TO THE BOARD AT ITS NEXT
11 REGULARLY SCHEDULED MEETING, WHICH IS CURRENTLY
12 SEPTEMBER 6TH.

13 CHAIRMAN THOMAS: MARIA, WILL YOU CALL THE
14 ROLL.

15 MS. BONNEVILLE: ROBERT PRICE.

16 DR. PRICE: NO.

17 MS. BONNEVILLE: LEEZA GIBBONS.

18 MS. GIBBONS: YES.

19 MS. BONNEVILLE: MICHAEL GOLDBERG.

20 MR. GOLDBERG: YES.

21 MS. BONNEVILLE: SAM HAWGOOD.

22 DR. HAWGOOD: NO.

23 MS. BONNEVILLE: STEPHEN JUELSGAARD.

24 DR. JUELSGAARD: YES.

25 MS. BONNEVILLE: BERT LUBIN.

BARRISTERS' REPORTING SERVICE

1 DR. LUBIN: NO.
2 MS. BONNEVILLE: DUANE ROTH.
3 MR. ROTH: NO.
4 MS. BONNEVILLE: JOAN SAMUELSON.
5 MS. SAMUELSON: YES.
6 MS. BONNEVILLE: DAVID SERRANO-SEWELL.
7 MR. SERRANO-SEWELL: YES.
8 MS. BONNEVILLE: JEFF SHEEHY.
9 MR. SHEEHY: NO.
10 MS. BONNEVILLE: JONATHAN SHESTACK.
11 MR. SHESTACK: NO.
12 MS. BONNEVILLE: JONATHAN THOMAS.
13 CHAIRMAN THOMAS: YES.
14 MS. BONNEVILLE: ART TORRES.
15 MR. TORRES: AYE.
16 MS. BONNEVILLE: KRISTINA VUORI.
17 DR. VUORI: YES.
18 MR. HARRISON: THAT MOTION CARRIES.
19 CHAIRMAN THOMAS: OKAY. SO THIS WILL BE
20 REFERRED BACK, DR. TROUNSON, FOR FURTHER
21 CONSIDERATION.
22 MR. ROTH.
23 MR. ROTH: MR. CHAIRMAN, I'D LIKE TO MOVE
24 5352 FOR REVIEW. AND IF I GET A SECOND, I'LL SAY
25 WHY.

BARRISTERS' REPORTING SERVICE

1 MR. TORRES: SECOND.

2 CHAIRMAN THOMAS: BEEN MOVED AND SECONDED.
3 5352 WILL BE MOVED FOR REVIEW. THIS IS THE ONCOMED
4 APPLICATION.

5 MR. ROTH: SO SPECIFICALLY, THE REQUEST
6 WOULD BE TO LOOK AT THE BIOMARKER AND TO ASSESS
7 WHETHER THE BIOMARKER CAN, IN FACT, SELECT PROPERLY
8 FOR THE PHASE II STUDY.

9 SECOND PART OF THAT WOULD BE TO LOOK AT
10 THE AGREEMENTS BETWEEN GSK -- TO LOOK AT AGREEMENTS
11 BETWEEN GSK AND ONCOMED.

12 CHAIRMAN THOMAS: IS THERE DISCUSSION BY
13 MEMBERS OF THE BOARD? HEARING NONE, CALL FOR THE
14 QUESTION. CAN YOU RESTATE THE MOTION, MR. HARRISON?

15 MR. HARRISON: THE MOTION IS TO REFER
16 APPLICATION 5352 FOR ADDITIONAL REVIEW OF NEW
17 INFORMATION BY THE PEER REVIEW GROUP WITH DIRECTION
18 TO THE PRESIDENT AND CO-VICE CHAIR SHEEHY TO
19 DETERMINE THE MAKEUP OF THE PEER REVIEW PANEL OR THE
20 SUBSET OF THE PANEL AND REQUEST THAT THE PEER REVIEW
21 RECOMMENDATION BE PRESENTED TO THE BOARD AT ITS NEXT
22 REGULARLY SCHEDULED MEETING.

23 THE ONLY CAVEAT I WOULD OFFER ON BEHALF OF
24 STAFF IS THAT WE NOW HAVE A NUMBER OF APPLICATIONS
25 AND A RELATIVELY SHORT PERIOD OF TIME IN WHICH FOR

BARRISTERS' REPORTING SERVICE

1 THAT NEW REVIEW TO TAKE PLACE DURING WHAT IS LARGELY
2 A SUMMER VACATION SEASON. SO THAT DEADLINE MAY BE
3 SOMEWHAT DIFFICULT TO ACCOMPLISH. SO IF THE BOARD
4 UNDERSTANDS THAT STAFF WILL DO THEIR BEST TO MEET
5 THE TIMELINE. BUT IF WE CAN'T, WE CAN'T.

6 CHAIRMAN THOMAS: I THINK THAT'S A VERY
7 REASONABLE OBSERVATION, WHICH MAY MEAN THAT SOME GET
8 CARRIED OVER UNTIL THE OCTOBER BOARD MEETING, BUT WE
9 NEED TO GIVE THESE THEIR FULL DUE, AND WE DON'T WANT
10 TO RUSH IT, AND WE NEED TO DO IT WHEN PEOPLE ARE
11 AVAILABLE, WHICH THEY MAY OR MAY NOT BE.

12 MARIA, WOULD YOU CALL THE ROLL, PLEASE?

13 MS. BONNEVILLE: ROBERT PRICE.

14 DR. PRICE: NO.

15 MS. BONNEVILLE: JACOB LEVIN.

16 DR. LEVIN: YES.

17 MS. BONNEVILLE: CLAIRE POMEROY.

18 DR. POMEROY: YES.

19 MS. BONNEVILLE: LEEZA GIBBONS.

20 MS. GIBBONS: YES.

21 MS. BONNEVILLE: MICHAEL GOLDBERG.

22 MR. GOLDBERG: YES.

23 MS. BONNEVILLE: STEPHEN JUELSGAARD.

24 DR. JUELSGAARD: YES.

25 MS. BONNEVILLE: FRANCISCO PRIETO.

BARRISTERS' REPORTING SERVICE

1 DR. PRIETO: AYE.
2 MS. BONNEVILLE: DUANE ROTH.
3 MR. ROTH: YES.
4 MS. BONNEVILLE: JOAN SAMUELSON.
5 MS. SAMUELSON: YES.
6 MS. BONNEVILLE: JONATHAN SHESTACK.
7 MR. SHESTACK: ABSTAIN.
8 MS. BONNEVILLE: DAVID SERRANO-SEWELL.
9 MR. SERRANO-SEWELL: YES.
10 MS. BONNEVILLE: OSWALD STEWARD.
11 DR. STEWARD: NO.
12 MS. BONNEVILLE: JONATHAN THOMAS.
13 CHAIRMAN THOMAS: YES.
14 MS. BONNEVILLE: ART TORRES.
15 MR. TORRES: IN MEMORY OF JULIA TORRES,
16 AYE.
17 MS. BONNEVILLE: KRISTINA VUORI.
18 DR. VUORI: YES.
19 MR. HARRISON: THAT MOTION PASSES.
20 CHAIRMAN THOMAS: THANK YOU, MR. HARRISON.
21 I BELIEVE THAT LEAVES ONE. LET'S SEE, SENATOR
22 TORRES.
23 MR. TORRES: YES. I MOVE TO APPROVE FOR
24 FUNDING ITEM 05365.
25 CHAIRMAN THOMAS: WHICH IS THE?

BARRISTERS' REPORTING SERVICE

1 MR. TORRES: CHEMOTHERAPY-FREE STEM CELL
2 TRANSPLANTATION.

3 MR. SERRANO-SEWELL: SECOND.

4 CHAIRMAN THOMAS: OKAY. IT'S BEEN MOVED
5 AND SECONDED. SO LET'S HAVE SOME BOARD DISCUSSION
6 ON THIS ITEM. SENATOR, DO YOU HAVE SOME COMMENTS?

7 MR. TORRES: NO, I DON'T THINK THERE'S
8 ANYTHING I CAN ADD TO WHAT WE HEARD DURING THE
9 PUBLIC COMMENT PERIOD AS TO THE NECESSITY AND THE
10 NEED.

11 CHAIRMAN THOMAS: I'LL MAKE A COMMENT
12 HERE. THIS IS ONE THAT I FOUND TROUBLING, THAT WE
13 HAVEN'T SORT OF FIGURED OUT A LOGISTICAL WAY TO
14 ATTACK HERE BECAUSE THE PEER REVIEW GROUP WAS VERY
15 FIRM ON APPROVING THE SCIENCE. THIS IS DIFFERENT
16 THAN MANY OF THE APPLICATIONS WE'VE HEARD TODAY,
17 WHICH WERE QUESTIONS ABOUT THE SCIENCE, IN SOME
18 CASES MANY QUESTIONS ABOUT THE SCIENCE. HERE WE
19 HAVE A PROCESS ISSUE WHICH RELIES ON THE
20 AVAILABILITY OF A MONOCLONAL ANTIBODY CURRENTLY THE
21 INTELLECTUAL PROPERTY OF A COMPANY THAT HAS
22 INDICATED ITS WILLINGNESS TO DO WHAT IT TAKES TO
23 PROVIDE INFORMATION TO MAKE A GOOD-FAITH EFFORT TO
24 ENTER INTO AN MTA AND A LICENSING AGREEMENT TO
25 FACILITATE THE WORK THAT NEEDS TO BE DONE HERE.

BARRISTERS' REPORTING SERVICE

1 AND IT SEEMS TO ME -- AND, MR. HARRISON, I
2 HAVE A QUESTION FOR YOU. ON SOMETHING LIKE THIS
3 WHICH IS THERE HAVE TO BE CONTINGENT ACTS
4 ACCOMPLISHED BEFORE AN AWARD CAN ACTUALLY BE
5 IMPLEMENTED, IS THERE SOME WAY THAT -- ONE OTHER
6 ISSUE AROSE, WHICH IS IT DOESN'T APPEAR IN THE GRANT
7 APPLICATION NOR IN THE PETITION THAT THERE'S A MEANS
8 TO PAY FOR THE MANUFACTURE OF THIS MONOCLONAL
9 ANTIBODY GOING FORWARD IN WHATEVER FASHION THAT'S
10 ACCOMPLISHED, AND THERE WERE THREE OR FOUR OPTIONS
11 GIVEN THAT MAY BE THE CASE.

12 SO MY QUESTION FOR MR. HARRISON IS WERE WE
13 TO CONSIDER THIS FOR APPROVAL, BECAUSE I THINK THE
14 SCIENCE IS VERY INTERESTING HERE AND POTENTIALLY
15 GROUNDBREAKING, IS THERE A WAY WE COULD DO IT
16 SUBJECT TO THE REQUISITE OBTAINING OF EITHER THE
17 ANTIBODY ITSELF, THE RIGHTS TO THE ANTIBODY, WORKING
18 OUT ALL OF THE REQUISITE AGREEMENTS, AND SUBJECT TO
19 STANFORD AGREEING TO PAY FOR ALL ASPECTS OF THAT
20 SINCE THAT'S BEYOND THE BUDGET OF WHAT IS IN THE
21 ORIGINAL APPLICATION?

22 MR. TORRES: WE WILL LOSE OUR QUORUM IN
23 SIX MINUTES, MR. CHAIRMAN.

24 CHAIRMAN THOMAS: THANK YOU, MR. SENATOR.

25 MR. HARRISON: YES, YOU COULD IMPOSE

BARRISTERS' REPORTING SERVICE

1 CONDITIONS PRECEDENT ON THE FUNDING OF THE AWARD,
2 AND YOU COULD DIRECT STAFF IN THAT REGARD. SO IT
3 WOULD EFFECTIVELY BE CONDITIONALLY APPROVED PROVIDED
4 THAT CERTAIN STEPS ARE SATISFIED.

5 MR. TORRES: I WILL ADD THAT TO THE
6 MOTION.

7 CHAIRMAN THOMAS: DR. SHIZURU.

8 DR. SHIZURU: JUST TO ADDRESS THE ISSUE OF
9 THE MONEY TO PAY FOR THE EXTRA ANTIBODY. WE
10 ACTUALLY DID BUDGET FOR THOSE CONTINGENCIES, SO THAT
11 IS IN THE BUDGET.

12 CHAIRMAN THOMAS: AND HOW MUCH DID YOU
13 BUDGET FOR THAT? DO YOU RECALL?

14 DR. SHIZURU: TWO MILLION.

15 CHAIRMAN THOMAS: AND GIVEN THAT THERE
16 WERE SEVERAL DIFFERENT OPTIONS FOR HOW THAT WAS
17 GOING TO HAPPEN, HOW DO WE REALLY KNOW HOW MUCH
18 THAT'S GOING TO COST?

19 DR. SHIZURU: WELL, I THINK THAT, YOU
20 KNOW, BASICALLY FOR THE GENERATION FROM THEIR OWN
21 CELL LINE, THAT'S WHAT THE TWO MILLION IS BASED
22 UPON.

23 CHAIRMAN THOMAS: HERE'S WHAT I WOULD SAY
24 TO THAT. THANK YOU VERY MUCH. TO THE EXTENT THAT
25 THE BOARD DID DECIDE TO CONDITIONALLY APPROVE AND IT

BARRISTERS' REPORTING SERVICE

1 ENDED UP COSTING MORE THAN THAT, THE BALANCE WOULD
2 BE STANFORD'S RESPONSIBILITY.

3 ARE THERE OTHER THOUGHTS FROM MEMBERS OF
4 THE BOARD ON THIS?

5 MR. TORRES: CALL THE QUESTION.

6 CHAIRMAN THOMAS: LET'S SEE IF THERE ARE
7 SOME OTHER THOUGHTS, MR. SENATOR.

8 MR. TORRES: I DON'T SEE ANY.

9 CHAIRMAN THOMAS: OKAY. SO, MR. HARRISON,
10 PLEASE REPEAT THE QUESTION -- THE MOTION, RATHER.

11 MR. SHESTACK: I JUST WANTED TO REMIND THE
12 BOARD THAT WE HAVE REFERRED BACK SIX PROJECTS. ON
13 THE CHANCE THAT ALL SIX PROJECTS HAVE A HAPPY DAY,
14 THAT'S ABOUT ANOTHER \$120 MILLION, MAYBE \$115
15 MILLION ON TOP OF THE 130 YOU'VE ALREADY COMMITTED
16 TO. SO I BELIEVE THERE'S A TOTAL POTENTIAL OF 243
17 OR SOMETHING; IS THAT RIGHT?

18 CHAIRMAN THOMAS: POINT WELL TAKEN, BUT I
19 THINK FOR THE PURPOSES OF THIS VOTE, WE HAVE TO TAKE
20 THIS ON ITS OWN MERITS AT THIS TIME.

21 DR. STEWARD: YEAH. I'D ACTUALLY JUST
22 LIKE TO REMIND EVERYBODY THAT THE ASPECT OF
23 COMMERCIALIZATION AND PRACTICALITY OF MOVING THINGS
24 FORWARD WAS A REVIEW CRITERIA -- WERE REVIEW
25 CRITERIA FOR ALL THE REST OF THE GRANTS. IN KEEPING

BARRISTERS' REPORTING SERVICE

1 WITH THE NEED TO KEEP A LEVEL PLAYING FIELD, I THINK
2 THAT IT WOULD BE BETTER, GIVEN THAT WE'VE REFERRED
3 SO MANY BACK FOR ADDITIONAL INFORMATION, THAT THIS
4 ONE ALSO GO BACK FOR ADDITIONAL INFORMATION RATHER
5 THAN BEING GIVEN A PASS WITH LOTS OF CONTINGENCY.

6 CHAIRMAN THOMAS: WHAT ADDITIONAL
7 INFORMATION WOULD WE --

8 DR. STEWARD: EXACTLY WHAT YOU JUST SAID.
9 THE ISSUES OF THE ANTIBODY, EVERYTHING THAT YOU
10 LISTED AS A CONTINGENCY, TO MAKE THAT CLEAR.

11 CHAIRMAN THOMAS: SO IN THIS CASE THE
12 ADDITIONAL INFORMATION WOULD BE THE ACTUAL
13 ACCOMPLISHMENT OF WHAT I DESCRIBED AS OPPOSED TO
14 SEEKING MORE DATA. IS THAT WHAT YOU'RE SUGGESTING?

15 DR. STEWARD: NOT ACCOMPLISHMENT OF WHAT
16 YOU DESCRIBED, BUT JUST LAYING OUT EXACTLY WHAT ALL
17 THESE ISSUES WERE AS FAR AS THE PRACTICALITY OF
18 MOVING FORWARD. THAT SEEMS TO BE WHERE WE'RE STUCK
19 WITHOUT KNOWING PRECISELY WHAT'S GOING ON HERE.

20 MR. SERRANO-SEWELL: QUICK POINT OF ORDER.
21 WE HAVE CONDITIONED AWARDS ALL THE TIME. THAT IS
22 NOT UNUSUAL FOR US. AND I UNDERSTAND THAT J.T.'S
23 MOTION DOES JUST THAT, AND THERE ISN'T THE NEED, IN
24 MY VIEW, FOR ADDITIONAL INFORMATION. SO I'M
25 PREPARED TO VOTE ON THE MOTION AS IT STANDS RIGHT

BARRISTERS' REPORTING SERVICE

1 NOW.

2 DR. POMEROY: WE HAVE RECEIVED SOME
3 ADDITIONAL INFORMATION THAT INDICATES THAT THE
4 COMMERCIALIZATION PERMISSION MAY NOT BE AS BIG AN
5 ISSUE AS IT WAS BEFORE. BUT IN MY READING OF THIS
6 REVIEW, THERE WERE OTHER QUESTIONS ABOUT HOW THIS
7 WOULD ACTUALLY BE ACCOMPLISHED AND, IN FACT, I DON'T
8 THINK THAT WE HAVE -- AND THERE'S NO WAY THAT THEY
9 COULD HAVE PROVIDED YET THE SPECIFIC METHODOLOGIES
10 THAT THEY WOULD USE.

11 SO IN MY OPINION, THERE ARE A LOT OF
12 DETAILS HERE THAT I HAVEN'T SEEN, AND I DON'T THINK
13 ANY REVIEWER HAS SEEN. SO IT WOULD MAKE MORE SENSE
14 TO ME, IF WE WANT THIS TO HAVE FURTHER CONSIDERATION
15 ON THE BASIS OF WHAT WE'VE LEARNED, TO HAVE THE
16 REVIEWERS GET THE DETAILS OF WHAT IS BEING DISCUSSED
17 HERE.

18 CHAIRMAN THOMAS: MR. JUELSGAARD.

19 DR. JUELSGAARD: MR. CHAIRMAN, WE EITHER
20 NEED A MOTION TO AMEND THE MOTION THAT WAS ALREADY
21 ON THE TABLE TO, INSTEAD, DO THIS REVIEW OR MOVE ON.

22 CHAIRMAN THOMAS: OKAY. JUST QUICKLY, HOW
23 DO OTHER MEMBERS FEEL BECAUSE THERE ARE TWO
24 DIFFERENT OPTIONS HERE. WHAT IS THE SENTIMENT OF
25 OTHERS?

BARRISTERS' REPORTING SERVICE

1 DR. LUBIN: SO IF THE SCIENCE WAS THAT
2 STRONG AND WE'VE HAD CONDITIONAL ONES BEFORE THAT
3 CAN EXPLORE THIS, AND THIS IS AN IMPORTANT AREA, I
4 SEE NO REASON THAT WE CAN'T GO FORWARD, LET PEOPLE
5 REVIEW THE CONDITIONS OF IT, AND RESOLVE THIS
6 MATTER. I THINK IT SOUNDS RESOLVABLE. I DON'T SEE
7 SENDING ANOTHER ONE BACK FOR COMPLETE REVIEW IN THE
8 TIME THAT'S GOING TO BE INVOLVED MAKES A LOT OF
9 SENSE WITH THE STRENGTH OF THE SCIENCE THAT'S BEEN
10 REPORTED HERE.

11 CHAIRMAN THOMAS: OTHER COMMENTS?

12 MS. GIBBONS: I THINK THAT GIVEN THE
13 CALIBER OF THE 12 LETTERS OF SUPPORT THAT CAME IN
14 WAS VERY PERSUASIVE FOR ME AS WELL, AND SOME OF THE
15 OTHER ARGUMENTS THAT HAVE BEEN MADE IN SUPPORT OF
16 THIS, SO I'M READY TO VOTE ALSO.

17 MR. TORRES: CALL FOR THE QUESTION.

18 CHAIRMAN THOMAS: LET'S BE VERY CLEAR THAT
19 IF THEY DON'T MEET THE CONDITIONS, THEY WON'T GET
20 THE GRANT. SO I THINK THAT IT'S SORT OF THE SAME
21 WAY OF GETTING TO IT, DEAN POMEROY. BUT IN MY
22 OPINION, THIS IS ONE THAT MERITS A LITTLE BIT
23 DIFFERENT APPROACH.

24 MS. BONNEVILLE: I THINK WE'RE GOING TO
25 LOSE OUR QUORUM IN ABOUT 30 SECONDS.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: CALLING THE QUESTION.

2 MR. HARRISON, WOULD YOU LIKE TO REPEAT THE MOTION,
3 PLEASE.

4 MR. HARRISON: YOU ARE GOING TO HAVE TO
5 HELP ME SOME HERE, CHAIR, BECAUSE I UNDERSTAND ONE
6 OF THE CONDITIONS; THAT IS, THAT IT'S SUBJECT TO
7 STANFORD'S AGREEMENT THAT IT WOULD PAY FOR ANY COSTS
8 IN EXCESS OF THE AMOUNT BUDGETED FOR THE PRODUCTION
9 OF THE NECESSARY MONOCLONAL ANTIBODY, BUT I BELIEVE
10 YOU HAD A SECOND CONDITION AS WELL.

11 CHAIRMAN THOMAS: YEAH. THE MAJOR
12 CONDITION IS THAT THEY WORK OUT IN A MANNER SUITABLE
13 TO OUR SATISFACTION THE ACTUAL METHOD FOR GENERATING
14 THE MONOCLONAL ANTIBODY GOING FORWARD IN AN AMOUNT
15 SUFFICIENT TO COVER THE CLINICAL TRIALS, WHICH WILL
16 ENTAIL SIGNIFICANT AMOUNT OF WORK WITH THE COMPANY
17 IN QUESTION AND NEGOTIATING RELEVANT DOCUMENTS, ETC.

18 MR. HARRISON: OKAY.

19 CHAIRMAN THOMAS: I'M NOT SURE HOW YOU'D
20 LIKE TO PHRASE THAT. I THINK EVERYBODY UNDERSTANDS.

21 MR. HARRISON: JUST LIKE YOU DID.

22 MS. BONNEVILLE: ROBERT PRICE.

23 DR. PRICE: YES.

24 MS. BONNEVILLE: JACOB LEVIN.

25 DR. LEVIN: ABSTAIN.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: CLAIRE POMEROY.
2 DR. POMEROY: NO.
3 MS. BONNEVILLE: LEEZA GIBBONS.
4 MS. GIBBONS: YES.
5 MS. BONNEVILLE: STEPHEN JUELSGAARD.
6 DR. JUELSGAARD: YES.
7 MS. BONNEVILLE: FRANCISCO PRIETO.
8 DR. PRIETO: AYE.
9 MS. BONNEVILLE: DUANE ROTH.
10 MR. ROTH: YES.
11 MS. BONNEVILLE: JOAN SAMUELSON.
12 MS. SAMUELSON: YES.
13 MS. BONNEVILLE: DAVID SERRANO-SEWELL.
14 MR. SERRANO-SEWELL: YES.
15 MS. BONNEVILLE: BERT LUBIN.
16 DR. LUBIN: YES.
17 MS. BONNEVILLE: JONATHAN SHESTACK.
18 MR. SHESTACK: ABSTAIN.
19 MS. BONNEVILLE: OSWALD STEWARD.
20 DR. STEWARD: NO.
21 MS. BONNEVILLE: JONATHAN THOMAS.
22 CHAIRMAN THOMAS: YES.
23 MS. BONNEVILLE: ART TORRES.
24 MR. TORRES: AYE.
25 MS. BONNEVILLE: KRISTINA VUORI.

BARRISTERS' REPORTING SERVICE

1 DR. VUORI: YES.

2 MS. SAMUELSON: MR. CHAIRMAN, DID WE JUST
3 LOSE A QUORUM?

4 MR. HARRISON: THE MOTION CARRIES. AND WE
5 HAVE LOST A QUORUM.

6 CHAIRMAN THOMAS: OKAY. SO DR. SHIZURU
7 AND DR. WEISSMAN, YOU'VE GOT YOUR MARCHING ORDERS.

8 (APPLAUSE.)

9 CHAIRMAN THOMAS: OKAY. I HAVE A
10 QUESTION, MR. HARRISON. NOW THAT WE'VE LOST LEEZA,
11 WHAT CAN WE DO ABOUT THE EARLY TRANSLATION CONCEPT
12 APPROVAL? COULD YOU SPEAK -- YOU WANTED TO MAKE A
13 COMMENT ON THE OTHER AWARDS.

14 MR. HARRISON: WE HAVE LOST OUR QUORUM,
15 AND WHAT THAT MEANS IS THAT WITH RESPECT TO THE
16 REMAINING DISEASE THERAPY TEAM APPLICATIONS, WE WILL
17 TAKE A VOTE AT THE NEXT BOARD MEETING TO CLOSE OUT
18 THE FUNDING AND HOPEFULLY TO ADDRESS THE
19 RECOMMENDATIONS FROM THE PEER REVIEW GROUP AS TO THE
20 OTHER APPLICATIONS.

21 WITH RESPECT TO THE SECOND RESEARCH
22 LEADERSHIP AWARD APPLICATION, OBVIOUSLY WE HAVE NOT
23 HAD THE OPPORTUNITY TO HAVE A FULL DISCUSSION OF
24 THAT APPLICATION, AND WE DON'T HAVE A QUORUM. SO WE
25 WILL HAVE TO DEFER THAT UNTIL THE NEXT MEETING AS

BARRISTERS' REPORTING SERVICE

1 WELL.

2 WITH RESPECT TO THE EARLY TRANSLATION IV
3 CONCEPT PROPOSAL, I WOULD DEFER TO PAT OLSON IF IT
4 WOULD BE HELPFUL TO GET A SENSE OF THE BOARD WITH
5 RESPECT TO THAT PROPOSAL. WE'RE NOT IN A POSITION
6 TO TAKE A VOTE. SO WE CAN EITHER DEFER THAT UNTIL
7 SEPTEMBER OR GET A SENSE OF THE BOARD NOW AND BRING
8 IT BACK FORMALLY FOR A VOTE IN SEPTEMBER.

9 CHAIRMAN THOMAS: SO IF SHE GETS WHAT ONE
10 MIGHT EXPECT TO BE A FULL BUY-OFF ON THE CONCEPT
11 NOW, WHAT CAN SHE DO IN THE INTERIM?

12 MR. HARRISON: STAFF COULD BEGIN TO
13 ACTUALLY DRAFT THE RFA SO THAT IT CAN BE IN PROCESS,
14 AND THEN COME BACK TO THE BOARD FOR FORMAL APPROVAL
15 IN SEPTEMBER.

16 CHAIRMAN THOMAS: HOPEFULLY THAT WOULDN'T
17 IMPACT YOU. THAT'S ONLY SIX WEEKS.

18 DR. OLSON: IT WOULD BE IMPORTANT TO DO SO
19 BECAUSE THIS RFA IS ACTUALLY SCHEDULED TO POST IN
20 SEPTEMBER.

21 CHAIRMAN THOMAS: SO THIS WOULD WORK
22 WITHIN YOUR TIME FRAME.

23 DR. OLSON: IT WOULD BE GREAT IF I COULD
24 GET A SENSE OF THE BOARD.

25 CHAIRMAN THOMAS: PLEASE PROCEED.

BARRISTERS' REPORTING SERVICE

1 DR. TROUNSON: MR. CHAIRMAN, IT WOULD ALSO
2 BE WORTHWHILE CONSIDERING DOING A PHONE CALL TO
3 APPROVE THAT IF IT'S AT ALL POSSIBLE, THAT ONE ITEM.
4 AND SO YOU MIGHT CONSIDER THAT LATER ON.

5 CHAIRMAN THOMAS: OKAY. THANK YOU, DR.
6 TROUNSON.

7 MR. SHEEHY: I JUST HAVE TO OBJECT TO
8 THIS. I AGREE WITH DR. TROUNSON. WE HAVE TWO ITEMS
9 THAT I THINK REALLY NEED TO BE PASSED BETWEEN THE
10 BOARD, AND I THINK WE HAVE THE IP STUFF AS WELL. I
11 THINK IT'S A TERRIBLE PRECEDENT TO APPROVE SOMETHING
12 WITHOUT A QUORUM. I THINK WE SHOULD GIVE IT THE
13 FULL PRESENTATION. WE CAN DO THIS TELEPHONICALLY,
14 BUT IT'S LATE. WE'VE LOST QUORUM. AND I AM VERY
15 UNCOMFORTABLE WITH HAVING A SENSE OF THE BOARD IN
16 ANYTHING RELATED TO A FUNDING DECISION.

17 DR. PRIETO: COULD WE DO A PRESENTATION ON
18 IT? IN ORDER TO SHORTEN THE MEETING, COULD WE DO A
19 PRESENTATION ON THIS AS WELL AS ON THE NEW
20 REVIEWERS?

21 DR. POMEROY: THE POINT --

22 DR. PRIETO: AND THEN HAVE A BRIEF
23 TELEPHONIC MEETING TO CONFIRM.

24 DR. POMEROY: SO MY CONCERN ABOUT THAT,
25 FRANCISCO, IS THAT THE POINT, IF ANYONE VOTES ON THE

BARRISTERS' REPORTING SERVICE

1 TELEPHONIC MEETING, THEN THEY SHOULD HAVE HEARD THE
2 PRESENTATION IN ORDER TO VOTE. AND, THEREFORE,
3 WE'LL HAVE TO REPEAT THE PRESENTATION ON THE
4 TELEPHONIC MEETING.

5 DR. PRIETO: OR READ THE MATERIAL.

6 DR. POMEROY: WELL, THEN, WE CAN ALL READ
7 THE MATERIAL IF THAT'S SUFFICIENT. THERE'S NO POINT
8 IN PRESENTING.

9 DR. PRIETO: I WOULD PROBABLY BE SATISFIED
10 WITH THAT. I'D TRY TO READ MY MATERIAL.

11 MS. SAMUELSON: I'D MOVE FOR ADJOURNMENT.

12 CHAIRMAN THOMAS: IF THERE IS A SENSE OF
13 THE BOARD THAT THEY WOULD RATHER DEFER THIS TO AN
14 ACTUAL CALL, WHICH WE CAN TRY TO SET UP AS SOON AS
15 POSSIBLE.

16 DR. OLSON: THAT WOULD BE HELPFUL.

17 CHAIRMAN THOMAS: SO, MR. SHEEHY, POINT
18 WELL TAKEN. WE'LL DEFER, THEN, THOSE TWO ITEMS FOR
19 A CALL TO BE SET UP IN THE IMMEDIATE FUTURE. AND I
20 BELIEVE, MARIA, THAT OTHER ITEMS ON THE AGENDA CAN
21 BE DEFERRED UNTIL SEPTEMBER; IS THAT CORRECT?

22 MS. BONNEVILLE: YES.

23 CHAIRMAN THOMAS: OKAY. SO TO SUMMARIZE,
24 WE'VE TAKEN CARE OF ALL THE DISEASE TEAM AWARDS THAT
25 WE CAN TODAY. WE'LL LOOK FORWARD TO THE REPORT-BACK

BARRISTERS' REPORTING SERVICE

1 AT THE NEXT BOARD MEETING FOR AS MANY OF THOSE THAT
2 CAN COME BACK AS POSSIBLE. WE WILL ALSO TAKE UP THE
3 OTHER RESEARCH LEADERSHIP AWARD AND WILL FORTHWITH
4 SET UP A CALL TO DISCUSS THE EARLY TRANSLATION IV
5 CONCEPT APPROVAL AND THE IP REGS. AND WITH THAT,
6 I'LL ENTERTAIN A MOTION TO ADJOURN.

7 DR. HAWGOOD: SO MOVED.

8 CHAIRMAN THOMAS: I THINK WE DON'T NEED TO
9 VOTE. THANK YOU VERY MUCH, EVERYBODY. IT WAS A
10 VERY SUBSTANTIVE MEETING. WE GOT THROUGH A LOT.
11 THANK YOU.

12 (APPLAUSE.)

13 (THE MEETING WAS THEN CONCLUDED AT
14 5:35 P.M.)

15
16
17
18
19
20
21
22
23
24
25

BARRISTERS' REPORTING SERVICE

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

MARRIOTT WATERFRONT SFO
1800 S. OLD BAYSHORE HIGHWAY
BURLINGAME, CALIFORNIA

ON
THURSDAY, JULY 26, 2012

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152
BARRISTER'S REPORTING SERVICE
160 S. OLD SPRINGS ROAD
SUITE 270
ANAHEIM, CALIFORNIA-A
(714) 444-4100