

BEFORE THE  
SCIENTIFIC AND MEDICAL ACCOUNTABILITY STANDARDS  
WORKING GROUP  
OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE  
ORGANIZED PURSUANT TO THE  
CALIFORNIA STEM CELL RESEARCH AND CURES ACT  
REGULAR MEETING

LOCATION: OMNI SHOREHAM HOTEL  
251 S. OLIVE STREET  
THE BUNKER ROOM  
LOS ANGELES, CALIFORNIA

DATE: TUESDAY, AUGUST 30, 2005  
10 A.M.

REPORTER: BETH C. DRAIN, CSR  
CSR. NO. 7152

BRS FILE NO. : 73117

## I N D E X

ITEM	DESCRIPTION	PAGE NO.
	WELCOME AND INTRODUCTIONS	003
	CALL TO ORDER	008
	ROLL CALL	009
	APPROVAL OF MINUTES FROM JANUARY 6, 2005	010
	CONSIDERATION OF STANDARDS WORKING GROUP BYLAWS	036
	CIRM STAFF REPORT	012
	CONSIDERATION OF INTERIM CIRM GUIDELINES	049
	STUDY GROUP PROGRESS REPORTS:	
	BANKING	229
	INTERSTATE INTERNATIONAL COLLABORATIONS	281
	PRECLINICAL RESEARCH STANDARDS	284
	DONOR RECRUITMENT	286
	ADJOURNMENT	292

1 LOS ANGELES, CALIFORNIA; TUESDAY, AUGUST 30, 2005

2 10 A.M.

3

4 CO-CHAIR LANSING: HELLO. CAN I HAVE  
5 EVERYBODY'S ATTENTION, PLEASE. IT'S 10 O'CLOCK AND IN  
6 THE ATTEMPT TO BE SUPER EFFICIENT, I'D LIKE TO START  
7 RIGHT ON TIME. MY NAME IS SHERRY LANSING, AND I'D LIKE  
8 TO WELCOME ALL OF YOU TO THE SECOND MEETING OF THE  
9 STANDARDS WORKING GROUP. AND I'D LIKE TO START THIS  
10 MEETING BY MAKING A FEW INTRODUCTIONS.

11 FIRST, I WANT TO ACKNOWLEDGE THE DEPARTURE OF  
12 MY CO-CHAIR, HARRIET RABB, FROM THE WORKING GROUP. SHE  
13 HAD TO RESIGN FOR PERSONAL REASONS, WHICH SADDENED ALL  
14 OF US, BUT, OF COURSE, WE UNDERSTOOD. BUT I WOULD LIKE  
15 TO THANK HER FOR THE ENORMOUS CONTRIBUTION THAT SHE  
16 MADE IN GETTING THIS GROUP UP AND RUNNING AND THANK HER  
17 AGAIN. BUT WE GOT VERY LUCKY BECAUSE I'D LIKE TO  
18 INTRODUCE MY NEW CO-CHAIR, BERNIE LO. AND I KNOW YOU  
19 ALL KNOW HIM SO WELL, AND I FEEL SO GRATEFUL THAT HE'S  
20 HERE. I CAN'T TELL YOU. AND I THANK YOU IN ADVANCE  
21 FOR EVERYTHING THAT YOU'VE DONE TO MAKE THIS MEETING SO  
22 EFFICIENT.

23 I'D ALSO LIKE TO INTRODUCE GEOFF LOMAX, AND  
24 GEOFF IS RIGHT HERE AND HE'S RECENTLY JOINED THE STAFF.  
25 AND HE IS OUR SENIOR OFFICER FOR THE STANDARDS WORKING

1 GROUP AND HAS BEEN INVALUABLE TO US ALREADY IN THE  
2 SHORT TIME THAT HE'S HERE.

3 I THINK WE MADE A SLIGHT ERROR IN OUR FIRST  
4 MEETING, WHICH IS SOMETHING I'D LIKE TO CORRECT TODAY.  
5 WE FORGOT TO GO AROUND THE ROOM AND HAVE EVERYBODY  
6 INTRODUCE THEMSELVES, SO I'D LIKE TO DO THAT TODAY.  
7 AND FRANCISCO, WOULD YOU START. LIKE JUST TO WELCOME  
8 EVERYBODY AGAIN AND ASK YOU TO JUST A SAY FEW WORDS  
9 ABOUT YOURSELF SO THAT THE PUBLIC AND THOSE OF US WHO  
10 DON'T KNOW EACH OTHER CAN KNOW EACH OTHER A LITTLE  
11 BETTER.

12 DR. PRIETO: I'M FRANCISCO PRIETO. I'M A  
13 PRACTICING PHYSICIAN IN THE SACRAMENTO AREA, AND I'M  
14 ONE OF THE DIABETES ADVOCATES, PATIENT ADVOCATE, ON THE  
15 ICOC.

16 DR. EGGAN: MY NAME IS KEVIN EGGAN. I'M AN  
17 ASSISTANT PROFESSOR IN THE DEPARTMENT OF MOLECULAR  
18 CELLULAR BIOLOGY AT HARVARD UNIVERSITY WHERE WE DO WORK  
19 ON CLONING BY NUCLEAR TRANSPLANTATION AND MORE AND MORE  
20 EFFORTS TO TRY TO USE THE ES CELLS TO CURE  
21 NEURODEGENERATIVE CONDITIONS.

22 DR. TAYLOR: MY NAME IS ROD TAYLOR. I'M  
23 PROFESSOR OF GYNECOLOGY AND OBSTETRICS AT EMORY  
24 UNIVERSITY IN ATLANTA, VERY RECENTLY AT UCSF FOR 25  
25 YEARS. I'M A REPRODUCTIVE ENDOCRINOLOGIST AND

1 INTERESTED IN IMPLANTATION BIOLOGY.

2 DR. KORDOWER: I'M JEFF KORDOWER, PROFESSOR  
3 OF NEUROLOGICAL SCIENCES AT RUSH UNIVERSITY MEDICAL  
4 CENTER. WE USE STEM CELLS IN ANIMAL MODELS OF  
5 PARKINSON'S, HUNTINGTON'S, AND ALZHEIMER'S DISEASE  
6 PRIMARILY IN NONHUMAN PRIMATE MODELS.

7 DR. PETERS: I'M TED PETERS. I TEACH  
8 THEOLOGY AT PACIFIC LUTHERAN SEMINARY IN THE GRADUATE  
9 THEOLOGICAL UNION IN BERKELEY. AND I'VE BEEN  
10 MONITORING AND INVOLVED IN THE ETHICAL ISSUES AROUND  
11 RESEARCH ON HUMAN EMBRYONIC STEM CELLS SINCE ABOUT 1996  
12 WHEN THE ISOLATION WAS CONCEIVED.

13 MR. KLEIN: BOB KLEIN, CHAIRMAN OF THE ICOC.

14 DR. HALL: ZACH HALL, INTERIM PRESIDENT OF  
15 THE CIRM, CALIFORNIA INSTITUTE FOR REGENERATIVE  
16 MEDICINE.

17 MS. LANSING: I'M SHERRY LANSING, BOARD  
18 MEMBER OF THE ICOC AND CHAIR OF STOP CANCER.

19 DR. LO: I'M BERNARD LO. I'M A PROFESSOR OF  
20 MEDICINE AT THE UNIVERSITY OF CALIFORNIA SAN FRANCISCO  
21 WHERE I ALSO DIRECT THE PROGRAM OF MEDICAL ETHICS.  
22 BEEN INVOLVED WITH A NUMBER OF PANELS OVER THE YEARS ON  
23 STEM CELL RESEARCH.

24 MR. LOMAX: GEOFF LOMAX, STAFF PERSON TO THE  
25 WORKING GROUP.

1 DR. KIESSLING: I'M ANN KIESSLING. I'M AN  
2 ASSOCIATE PROFESSOR AT HARVARD MEDICAL SCHOOL WHERE I  
3 MOSTLY DO HIV RESEARCH, AND I DIRECT A SMALL  
4 INDEPENDENT FOUNDATION IN SUMMERVILLE, MASS, THAT'S  
5 DEDICATED TO STEM CELL RESEARCH.

6 MS. CHARO: I'M ALTA CHARO. I'M PROFESSOR OF  
7 LAW AND BIOETHICS AT THE UNIVERSITY OF WISCONSIN LAW  
8 AND MEDICAL SCHOOLS. I'LL BE CHANGING AFFILIATIONS IN  
9 JANUARY AS A VISITING PROFESSOR AT BERKELEY'S LAW  
10 SCHOOL AND HAVE WORKED WITH BERNIE LO ON SEVERAL  
11 GOVERNMENTAL COMMISSIONS THAT TOUCHED ON STEM CELLS AND  
12 WAS WORKING WITH THE NAS COMMITTEE THAT DEVELOPED THE  
13 VOLUNTEER NATIONAL GUIDELINES.

14 DR. OLDEN: I'M KEN OLDEN. I'M FORMER  
15 DIRECTOR OF THE NATIONAL INSTITUTE FOR ENVIRONMENTAL  
16 HEALTH SCIENCES, AND NOW I'M SENIOR SCIENTIST AT THE  
17 NATIONAL INSTITUTES OF HEALTH, AND ALSO SERVE AS CHIEF  
18 SCIENTIFIC ADVISOR FOR THE MICHAEL J. FOX FOUNDATION IN  
19 NEW YORK.

20 MR. SHEEHY: JEFF SHEEHY, AND I'M A PATIENT  
21 ADVOCATE FOR PEOPLE WITH HIV ON THE ICOC AND DIRECTOR  
22 OF COMMUNICATIONS AT UCSF'S AIDS RESEARCH INSTITUTE.

23 DR. CIBELLI: I AM JOSE CIBELLI, PROFESSOR AT  
24 MICHIGAN STATE UNIVERSITY. WE ARE WORKING WITH STEM  
25 CELLS AND NUCLEAR TRANSFER CLONING.

1 DR. WILLERSON: I'M JIM WILLERSON. I'M THE  
2 PRESIDENT OF THE UNIVERSITY OF TEXAS HEALTH SCIENCE  
3 CENTER AT HOUSTON, PRESIDENT ELECT OF THE TEXAS HEART  
4 INSTITUTE. DR. PERRIN AND I BEGAN TO TREAT PATIENTS  
5 WITH HEART FAILURE WITH THEIR OWN BONE-MARROW DERIVED  
6 STEM CELLS IN RIO DE JANEIRO, BRAZIL, IN THE YEAR 2000.  
7 AND WE TREATED 14 PATIENTS AND HAD SEVEN CONTROLS AND  
8 SHOWED THAT THEIR CELLS INJECTED DIRECTLY INTO THE  
9 HEART IMPROVED THEIR BLOOD FLOW AND THE FUNCTION OF  
10 THEIR HEARTS. WE HAVE, I BELIEVE, THE ONLY  
11 FDA-APPROVED TRIAL IN THE UNITED STATES TODAY, TREATING  
12 PATIENTS WITH HEART FAILURE WITH THEIR OWN BONE-MARROW  
13 DERIVED STEM CELLS, AND WE'VE TREATED 16 AT THE TEXAS  
14 HEART INSTITUTE IN A BLINDED RANDOMIZED STUDY.

15 WE'RE ALSO INVOLVED IN BASIC STUDIES TRYING  
16 TO DETERMINE WHAT STEM CELL'S THE BEST AND WHAT HAPPENS  
17 TO THESE STEM CELLS WHEN THEY'RE INJECTED INTO THE  
18 HEART.

19 MR. HARRISON: I'M JAMES HARRISON, COUNSEL TO  
20 THE CIRM.

21 CO-CHAIR LANSING: I THINK, JANET ROWLEY, ARE  
22 YOU HERE?

23 DR. ROWLEY: YES, I'M ON THE PHONE IN  
24 CHICAGO, UNFORTUNATELY NOT IN L.A. MY OWN RESEARCH IS  
25 IN GENETIC CHANGES IN LEUKEMIA, BUT I SERVED ON THE

1 PRESIDENT'S COUNCIL ON BIOETHICS AND ALSO WAS A MEMBER  
2 WITH ALTA OF THE NATIONAL ACADEMY OF SCIENCES GROUP  
3 THAT WROTE THE GUIDELINES THAT ARE AT LEAST AT PRESENT  
4 SERVING AS INTERIM GUIDELINES FOR THE STATE OF  
5 CALIFORNIA'S PROJECT.

6 CO-CHAIR LANSING: THANK YOU. AN  
7 EXTRAORDINARY GROUP OF PEOPLE, AND I WANT TO THANK ALL  
8 OF YOU FOR THE TIME THAT YOU HAVE GIVEN SO FAR AND THE  
9 TIME THAT I KNOW AWAITS US IN THE FUTURE. WE'VE DONE  
10 AN INCREDIBLE AMOUNT OF WORK IN A VERY SHORT AMOUNT OF  
11 TIME. AND THIS IS -- I WANT TO, ONCE AGAIN, STATE FOR  
12 ALL OF US HERE AND FOR THE PUBLIC THAT THIS IS TRULY A  
13 WORK IN PROGRESS, AND THAT WE'RE HERE TO WORK TOGETHER,  
14 BUT WE'RE ALSO HERE TO LISTEN TO THE PUBLIC, TO LISTEN  
15 TO MEMBERS OF THE SCIENTIFIC COMMUNITY, AND LAWMAKERS.  
16 AND WE'RE HERE TO LISTEN TO WHAT THEY SAY AND TAKE  
17 THEIR WORDS TO HEART. AND WE'RE GOING TO REMAIN  
18 FLEXIBLE AND MAKE CHANGES WHENEVER NECESSARY.

19 BUT AGAIN, I WANT TO SAY TO THE PUBLIC THAT  
20 THIS IS REALLY A WORK IN PROGRESS. WE HAVE A LONG ROAD  
21 AHEAD OF US, AND WE'RE ALL DEDICATED TO SPENDING THE  
22 TIME NECESSARY TO MAKE THE RIGHT DECISIONS.

23 WITH THAT SAID, I'D LIKE TO CALL THE MEETING  
24 TO ORDER AND ASK KATE IF YOU WOULD LEAD IN THE ROLL  
25 CALL.



1 MS. SHREVE: ALTA CHARO.  
2 MS. CHARO: HERE.  
3 MS. SHREVE: JOSE CIBELLI.  
4 DR. CIBELLI: HERE.  
5 MS. SHREVE: KEVIN EGGAN.  
6 DR. EGGAN: HERE.  
7 MS. SHREVE: JEFFREY KORDOWER.  
8 DR. KORDOWER: HERE.  
9 MS. SHREVE: ANN KIESSLING.  
10 DR. KIESSLING: HERE.  
11 MS. SHREVE: ROBERT KLEIN.  
12 MR. KLEIN: HERE.  
13 MS. SHREVE: SHERRY LANSING.  
14 CO-CHAIR LANSING: HERE.  
15 MS. SHREVE: BERNARD LO.  
16 CO-CHAIR LO: HERE.  
17 MS. SHREVE: KENNETH OLDEN.  
18 DR. OLDEN: HERE.  
19 MS. SHREVE: THEODORE PETERS.  
20 DR. PETERS: HERE.  
21 MS. SHREVE: FRANCISCO PRIETO.  
22 DR. PRIETO: HERE.  
23 MS. SHREVE: JANET ROWLEY.  
24 DR. ROWLEY: HERE.  
25 MS. SHREVE: JEFF SHEEHY.

1 MR. SHEEHY: HERE.

2 MS. SHREVE: JON SHESTACK. ROBERT TAYLOR.

3 DR. TAYLOR: HERE.

4 MS. SHREVE: JAMES WILLERSON.

5 DR. WILLERSON: HERE.

6 CO-CHAIR LANSING: I'D LIKE TO MOVE FOR  
7 APPROVAL OF THE MINUTES FROM THE JULY 6TH, 2005,  
8 MEETING. DO I HAVE A SECOND?

9 DR. KIESSLING: I HAVE A COUPLE OF  
10 CORRECTIONS. ON PAGE 13 AT THE BOTTOM OF THE PAGE, IT  
11 SAYS KEY ISSUES FACED BY KIESSLING, ET AL. ON THE  
12 ETHICS BOARD DEVELOPING THE FIRST IVF LAB IN OREGON.  
13 THAT'S INACCURATE. IT WAS DEVELOPING THE FIRST EGG  
14 DONOR PROGRAM FOR STEM CELL RESEARCH.

15 CO-CHAIR LANSING: THANK YOU. WILL YOU  
16 CORRECT THAT, PLEASE?

17 DR. KIESSLING: HAS NOTHING TO DO WITH THE  
18 IVF LAB IN OREGON. PAGE 13 AT THE BOTTOM OF THE PAGE  
19 IS A STATEMENT THAT SAYS KEY ISSUES FACED BY KIESSLING,  
20 ET AL. AND THE ETHICS BOARD DEVELOPING THE FIRST IVF  
21 LAB IN OREGON. THAT'S INACCURATE. HAS NOTHING TO DO  
22 WITH THE FIRST IVF LAB IN OREGON. THAT WAS 20 SOME  
23 YEARS AGO. THAT WAS DEVELOPING THE FIRST EGG DONOR  
24 PROGRAM FOR STEM CELL RESEARCH.

25 CO-CHAIR LANSING: MAKE THAT CORRECTION. ANY

1 OTHER CORRECTIONS?

2 DR. CIBELLI: I HAVE A DRAFT IN FRONT OF ME  
3 THAT I'M NOT SURE IS THE ONE THAT YOU ALL HAVE, BUT I  
4 HAVE IN PAGE NO. 7, THERE'S A PARAGRAPH THAT READS:  
5 THE NATIONAL ACADEMY OF SCIENCE COMMITTEE ACCEPTED AS A  
6 STARTING POINT TWO PREVIOUS NAS REPORTS THAT CALL FOR,  
7 A, A BAN ON REPRODUCTIVE CLOSING. THAT'S CLONING.

8 CO-CHAIR LANSING: DID YOU GET THAT?

9 DR. HALL: PAGE 7, IT'S TWO-THIRDS OF THE WAY  
10 DOWN; IS THAT RIGHT, JOSE?

11 DR. CIBELLI: YES.

12 DR. HALL: I DIDN'T GET -- WHAT WAS THE --

13 DR. PRIETO: IT'S A TYPO. CLOSING INSTEAD OF  
14 CLONING.

15 DR. KIESSLING: IT SAYS A BAN ON REPRODUCTIVE  
16 CLOSING.

17 DR. HALL: CLOSING. OKAY. GOT IT. TYPO.  
18 GOOD.

19 MR. KLEIN: IF THE CHAIR WOULD LIKE TO  
20 INSTRUCT THE PERSONNEL, I THINK THEY CAN RAISE ALL THE  
21 MICS IF THAT'S THE CHAIR'S PLEASURE.

22 CO-CHAIR LANSING: SURE. ANY OTHER  
23 CORRECTIONS FOR THE MINUTES? DO I HAVE A SECOND WITH  
24 THESE CORRECTIONS?

25 DR. PRIETO: SECOND.

1 CO-CHAIR LANSING: ALL IN FAVOR. THE MOTION  
2 PASSES.

3 I'D NOW LIKE TO ASK ZACH AND GEOFF IF THEY  
4 WOULD PRESENT THE CIRM REPORT FOR US.

5 DR. HALL: ALL RIGHT. LET ME JUST REMIND YOU  
6 THAT AT THE LAST MEETING OF THE WORKING GROUP, THE  
7 WORKING GROUP CHARGED THE STAFF WITH TAKING THE NAS  
8 GUIDELINES AND PUTTING THEM INTO REGULATORY LANGUAGE  
9 THAT WAS APPROPRIATE FOR CIRM AND THAT WAS CONSISTENT  
10 WITH PROPOSITION 71. SO JAMES HARRISON, GEOFF LOMAX,  
11 AND KATE SHREVE HAVE WORKED VERY HARD TO DO THIS IN THE  
12 INTERIM.

13 AND YOU HAVE -- UNDER NO. 7 YOU HAVE THE  
14 INTERIM GUIDELINES. AND SO I WOULD LIKE TO ASK -- I'M  
15 SORRY. I'VE JUMPED AHEAD. LET ME JUST SAY THAT WILL  
16 BE THE NEXT -- I JUMPED DOWN TWO ITEMS. WE'LL DO THAT  
17 IN A MOMENT.

18 FIRST, GEOFF LOMAX IS GOING TO BRING US  
19 UP-TO-DATE, THEN, ON WHERE WE ARE WITH OUR PROCESS AND  
20 WHAT IS PLANNED FOR THE FUTURE. SORRY, GEOFF. I  
21 SKIPPED A BEAT THERE, BUT I'M SURE YOU CAN PICK IT  
22 RIGHT UP AND GO ON.

23 MR. LOMAX: THANKS VERY MUCH. AM I COMING  
24 ACROSS SO PEOPLE CAN HEAR ME? THANKS. SO WHAT WE'LL  
25 DO FIRST IS DO THE REPORT-BACK. AND WHAT WE'VE DONE IS

1 WE'VE ORGANIZED INTO THREE PRIMARY TOPICS, WHICH ARE  
2 THE TIME LINE IN PROCESS, WHICH WE'D LIKE TO COVER IN  
3 TERMS OF THE WORK THAT'S IN FRONT OF US; THE WORKING  
4 GROUPS, AND DESCRIBE TO YOU A LITTLE BIT WHAT WE HAVE  
5 PLANNED FOR OUR PUBLIC MEETINGS BECAUSE THESE ARE ALL  
6 THREE ITEMS THAT PEOPLE EXPRESSED A NEED TO HAVE A  
7 CLEAR UNDERSTANDING OF HOW WE'RE PLANNING ON  
8 PROCEEDING.

9 THIS SLIDE, WHICH IS TAB 6 IN YOUR BINDER, I  
10 REALIZE THIS IS FAIRLY COMPLICATED, BUT IT GIVES YOU A  
11 COMPLETE PICTURE OF THE PROCESS BOTH IN TERMS OF WHERE  
12 WE'VE BEEN AND WHERE WE ANTICIPATE WE'LL BE HEADED.  
13 WHAT I'LL DO IS TRY TO WALK THROUGH THIS BRIEFLY, AND  
14 THEN WE HAVE A MORE SIMPLIFIED VERSION TO FOLLOW.

15 WHAT WE WANT TO POINT OUT ON THIS TIME LINE,  
16 THAT IN JULY THE RECOMMENDATION THAT THE GUIDELINES,  
17 THE CURRENT GUIDELINES, BE ADOPTED IN REGULATORY  
18 FORMAT, THAT THAT RECOMMENDATION THAT HAPPENED WAS  
19 FORWARDED TO THE ICOC, AND THAT CHARGE TO ADOPT REVISED  
20 GUIDELINES WAS APPROVED BY THE ICOC. BETWEEN THE LAST  
21 MEETING AND TODAY, WE'VE SPENT CONSIDERABLE TIME AND  
22 ENERGY REVISING THOSE GUIDELINES AND ATTEMPTING TO PUT  
23 THEM IN A FORMAT THAT WILL MEET THAT NEED.

24 THAT BRINGS US TO WHAT I'D LIKE TO DESCRIBE  
25 AS THE CRITICAL DATE IN TERMS OF PROP 71, WHICH IS WE

1 WANT TO TAKE THE EXISTING DOCUMENT THAT WE HAVE TODAY  
2 AND, WITH THE APPROVAL OF THIS WORKING GROUP, IT WOULD  
3 THEN GO TO THE ICOC, AND THE ICOC WOULD APPROVE THAT IN  
4 THEIR SEPTEMBER 9TH MEETING.

5 NOW, THAT MEETING DATE, WHAT THAT WOULD DO IS  
6 SET A CLOCK RUNNING, WHICH IS A 270-DAY CLOCK WHERE THE  
7 CIRM WOULD BE GOVERNED BY THE GUIDELINES THAT WE ADOPT  
8 TODAY. SO THAT 270-DAY PERIOD IS ILLUSTRATED BY THE  
9 BLUE BAR THAT RUNS ACROSS THE TOP OF THE TIME LINE.

10 I WANT TO EMPHASIZE THAT THAT TIME LINE IS  
11 EXPLICITLY PROSCRIBED BY PROPOSITION 71. SO THAT'S  
12 WHERE THAT 270-DAY NUMBER COMES FROM. SO FROM THE  
13 PERIOD WHICH ACTUALLY STARTED EARLIER THIS MONTH AND  
14 THAT WILL CARRY US THROUGH TO THE END OF OCTOBER, WHAT  
15 WE ARE ATTEMPTING TO DO IS THEN REVISE THOSE GUIDELINES  
16 TO DEVELOP A SET OF FINAL GUIDELINES WHICH WE  
17 RECOMMEND. SO THAT'S ILLUSTRATED BY THE GREEN SECTION  
18 OF THIS TIME LINE. SO WE'RE MOVING TOWARDS REDRAFTING  
19 GUIDELINES THAT WILL MEET THE LONG-TERM NEEDS OF CIRM.

20 THE YELLOW SET OF BOXES REPRESENT WHAT'S  
21 REFERRED TO AS THE ADMINISTRATIVE PROCEDURES ACT PHASE  
22 OR THE POINT IN TIME WHEN THE GUIDELINES RECOMMENDED BY  
23 THIS WORKING GROUP WILL THEN GO THROUGH A PUBLIC  
24 COMMENT, ADMINISTRATIVE REVIEW, AND THEN IDEALLY BE  
25 ADOPTED INTO LAW SOMETIME AT THE BEGINNING OF JUNE NEXT

1 YEAR.

2 IS THIS CLEAR IN TERMS OF THIS WALK-THROUGH?

3 DR. KIESSLING: I'M SORRY. WHAT IS THE  
4 45-DAY PERIOD FOR?

5 MR. LOMAX: UNDER THE ADMINISTRATIVE  
6 PROCEDURES ACT RULEMAKING PERIOD, THERE'S A 45-DAY  
7 PERIOD WHICH ALLOWS FOR PUBLIC COMMENT. SO THIS WOULD  
8 BE COMMENTS THAT WOULD BE SUBMITTED FORMALLY BY EITHER  
9 MEMBERS OF THE PUBLIC OR ANYONE ELSE WHO CARES TO  
10 COMMENT ON THE FINAL DRAFT GUIDELINES AS RECOMMENDED BY  
11 THIS GROUP AND APPROVED BY THE ICOC.

12 DR. KIESSLING: SO THIS IS NOT PROPOSITION  
13 71?

14 MR. LOMAX: NO. EXACTLY. THIS IS WHY WE  
15 TRIED TO USE A LITTLE BIT OF COLOR HERE TO CLARIFY TWO  
16 PARALLEL BUT SEPARATE PROCESSES. AND THE YELLOW  
17 INDICATES WHAT'S THE ADMINISTRATIVE PROCEDURES ACT  
18 PROCESSES, WHICH IS CALIFORNIA LAW THAT GOVERNS AN  
19 AGENCY DRAFTING AND ADOPTING REGULATIONS.

20 DR. HALL: LET ME JUST MAKE A COMMENT, GEOFF.  
21 WE HAVE TWO PERIODS OF PUBLIC HEARINGS, AND I WANT TO  
22 JUST DISTINGUISH THOSE. AND, JAMES, YOU CORRECT ME IF  
23 I MISSTATE HERE. ONCE THIS COMMITTEE DOES ITS WORK AND  
24 COMES UP WITH A DRAFT OF FINAL GUIDELINES TO SUBMIT FOR  
25 APPROVAL BY THE ICOC AND THEN SUBSEQUENTLY SUBMIT TO

1 THE OFFICE OF ADMINISTRATIVE LAW, THEN THOSE GUIDELINES  
2 BY LAW MUST BE MADE AVAILABLE FOR PUBLIC COMMENT IN A  
3 FORMAL WAY OVER A 45-DAY PERIOD.

4 WE WILL BE REQUIRED TO RESPOND TO ALL WRITTEN  
5 COMMENTS THAT ARE MADE DURING THAT PERIOD SAYING WE  
6 CONSIDERED THEM AND WHY WE HAD ACTED EITHER TO ACCEPT  
7 THEM OR NOT. WE ARE GOING AN ADDITIONAL STEP, HOWEVER,  
8 IN OUR PROCESS; AND THAT IS, WE ARE INCORPORATING THE  
9 PUBLIC INTO THE PROCESS OF DRAFTING THAT FINAL  
10 STATEMENT WHICH WILL BE DUE IN EARLY NOVEMBER.

11 SO AS YOU WILL HEAR FROM GEOFF IN JUST A  
12 MOMENT, WE'RE HAVING A SERIES OF PUBLIC HEARINGS SO  
13 THAT PEOPLE CAN ADVISE US AS WE DRAFT IT. WE ARE NOT  
14 REQUIRED BY LAW TO DO THAT AND WE DON'T HAVE TO MAKE A  
15 FORMAL RESPONSE TO THOSE, BUT WE WANT VERY MUCH TO GET  
16 INPUT FROM THE PUBLIC AS WE DO THAT. AND SO THAT IS  
17 THE FIRST PHASE OF THE PUBLIC HEARING, AND THEN THE  
18 SECOND IS THE MORE FORMAL ONE DESCRIBED THERE.

19 CO-CHAIR LANSING: AND THE FIRST ONE IS  
20 TOMORROW.

21 MR. KLEIN: I THINK IT WOULD BE APPROPRIATE  
22 TO LET YOU KNOW THAT WHILE THIS PROCESS HAS BEEN  
23 DESIGNED SPECIFICALLY FOR THIS RULEMAKING, THE  
24 INITIATIVE ACTUALLY NOT ONLY LAID OUT THE 270-DAY  
25 PERIOD, BUT CALLS OUT THE REQUIREMENT TO FOLLOW THE



1 ADMINISTRATIVE PROCEDURES ACT.

2 MR. LOMAX: I TRIED TO THEN SIMPLIFY THAT  
3 INTO A FOUR-STEP SET OF PROCEDURES, SO THIS IS A  
4 REITERATION OF WHAT WE JUST COVERED. STEP 1 IS TO  
5 ADOPT INTERIM GUIDELINES WHICH WILL BE IN EFFECT FOR UP  
6 TO 270 DAYS. THESE GUIDELINES ARE BASED ON THE  
7 NATIONAL RESEARCH COUNCIL/IOM RECOMMENDATIONS. THE  
8 ICOC WOULD THEN, AGAIN, ADOPT THOSE ON THE 9TH OF  
9 SEPTEMBER.

10 THE SECOND STEP WOULD BE TO THEN WORK ON  
11 REVISING THAT DOCUMENT SO THAT IT MEETS THE STANDARDS  
12 OF WHAT THIS WORKING GROUP BELIEVES SHOULD ULTIMATELY  
13 GOVERN THE CIRM.

14 THE THIRD STEP, AGAIN, TO SUBMIT THOSE  
15 REGULATIONS, THOSE ARE NOW FORMAL REGULATIONS, TO THE  
16 ICOC WHICH WOULD THEN APPROVE THEM, AND THEY WOULD BE  
17 FORWARDED TO THE OFFICE OF ADMINISTRATIVE LAW IN  
18 NOVEMBER. AND THAT WOULD INITIATE THE FOURTH STEP,  
19 WHICH IS TO RECEIVE AND RESPOND TO PUBLIC COMMENTS.

20 AND, AGAIN, IN STEP 2 I SORT OF MISSED THAT  
21 ONE POINT, AGAIN, THAT WE HAVE A SET OF WHAT WE'RE  
22 CALLING PUBLIC SESSIONS IN THE STEP 2 PHASE TO AGAIN  
23 RECEIVE AN ADDITIONAL SET OF PUBLIC COMMENTS TO FURTHER  
24 INFORM OUR WORK.

25 DR. TAYLOR: IS THERE ANY REASON TO BELIEVE

1 THAT WE WOULDN'T GET COMMENTS FROM THE PUBLIC UNTIL  
2 THAT 45-DAY PERIOD? THERE'S AN OPPORTUNITY FOR PUBLIC  
3 INPUT THROUGHOUT THE PROCESS. I'M JUST WONDERING AS  
4 THESE THINGS WORK --

5 DR. HALL: AS YOU WILL HEAR FROM GEOFF, WE  
6 HAVE THREE PUBLIC SESSIONS PLANNED BEFORE THAT NOVEMBER  
7 FINAL DRAFT IS SUBMITTED. SO THAT'S THE PERIOD OF  
8 INFORMAL PUBLIC COMMENT. WE'RE NOT REQUIRED TO DO  
9 THAT. WE'RE DOING IT BECAUSE WE WANT TO HAVE THE  
10 PUBLIC INVOLVED, AND WE DON'T HAVE TO MAKE FORMAL  
11 RESPONSE. THE FIRST OF THOSE, AS YOU WILL HEAR, BEGINS  
12 TOMORROW. INFORMALLY, IF ANYBODY, ANY PUBLIC MEMBER  
13 WISHES TO WRITE AND MAKE SUGGESTIONS, THAT'S ALSO A  
14 PERFECTLY GOOD WAY OF HAVING INPUT INTO THE PROCESS.

15 MR. LOMAX: CURRENTLY ON THE WEBSITE IN  
16 RELATION TO THE DRAFT GUIDELINES WE INVITE THAT  
17 COMMENT. SO THERE IS AN ACTIVE MECHANISM TO SAY WE'RE  
18 LOOKING FORWARD TO YOUR COMMENTS, AND HERE'S HOW YOU  
19 CAN COMMENT ON THE DRAFT REGULATIONS.

20 MR. KLEIN: GEOFF, IT MIGHT ALSO BE VALUABLE  
21 TO REMIND EVERYONE THAT THE MOMENT THE BOARD ADOPTS  
22 THESE INTERIM GUIDELINES, IF THAT, FOR EXAMPLE, IS ON  
23 SEPTEMBER 9TH, THOSE GUIDELINES ACTUALLY BECOME  
24 EFFECTIVE ON AN INTERIM BASIS SO THAT GRANTS CAN GO  
25 FORWARD USING THE INTERIM GUIDELINES IMMEDIATELY.

1 MR. LOMAX: I WANTED TO ALSO TOUCH BASE A  
2 LITTLE BIT ON HOW WE SORT OF ORGANIZED THE PROCESS IN  
3 TERMS OF DOING THE WORK BECAUSE THAT WILL INFORM HOW WE  
4 THEN EXPLAIN OUR WORK IN THESE WHAT WE'RE CALLING  
5 PUBLIC SESSIONS, AGAIN THE SESSIONS WHERE WE ARE  
6 RECEIVING COMMENT, BUT THEY'RE OUTSIDE OF THE FORMAL  
7 COMMENTING PERIOD, WHICH WAS INDICATED IN YELLOW.

8 WE HAVE THE FIVE STUDY GROUPS WHICH WERE  
9 AGREED ON IN THE LAST MEETING. THESE FIVE GROUPS HAVE  
10 ALL HAD AN OPPORTUNITY TO GET TOGETHER AND MEET, DO  
11 ISSUE IDENTIFICATION, AND START DISCUSSING RESOLUTION  
12 TO SOME OF THE ISSUES AND HOW TO WORK THROUGH THEM.

13 WE'VE STARTED TO COMPILE DOCUMENTATION OF  
14 THESE ISSUES. AND IN YOUR BINDER THERE'S A  
15 SPREADSHEET, I BELIEVE IT'S IN THE INNER LEFT POCKET,  
16 WHICH IS OUR FIRST ATTEMPT AT COMPILING AND BUILDING A  
17 RECORD OF ISSUES FOR CONSIDERATION.

18 AND THEN IF I CAN MOVE TO THE PUBLIC  
19 SESSIONS, YOU NOTICE THE LEVEL OF DETAIL PROVIDED IN  
20 THE TABLE IS QUITE CONSIDERABLE, SO WE'RE GOING TO NEED  
21 TO SIMPLIFY THAT MATERIAL FOR THE PUBLIC SESSIONS,  
22 THREE OF WHICH ARE PLANNED, AGAIN, ON WEDNESDAY WE'LL  
23 BE HAVING OUR FIRST PUBLIC SESSION HERE IN LOS ANGELES.  
24 WE HAVE A SESSION SCHEDULED FOR SEPTEMBER 27TH IN SAN  
25 FRANCISCO. AND I BELIEVE OUR SACRAMENTO DAY IS STILL

1 YET TO BE ANNOUNCED; IS THAT CORRECT? WE DON'T HAVE A  
2 DATE FOR SACRAMENTO.

3 SO, AGAIN, WHAT WE'VE DONE IS WE'VE TAKEN THE  
4 TOPICS FOR THE PUBLIC SESSION AND WE'VE TRIED TO --  
5 WHAT WE HAVE PLANNED TO DO IN THE PUBLIC SESSION IS,  
6 FIRST OF ALL, GIVE THE PUBLIC A SENSE OF THE PROCESS,  
7 SO WE'LL WALK THROUGH TIME LINES, CRITICAL DATES, AND  
8 WHAT OUR WORK WILL INVOLVE. AND THEN IN ORDER TO  
9 INTRODUCE THE CONTENT OR SOME SUBSTANCE IN WHICH TO  
10 HAVE A DISCUSSION AROUND IN A FAIRLY STRUCTURED WAY  
11 THAT WILL ALLOW US TO ORGANIZE THAT FEEDBACK, WHAT  
12 WE'VE DONE IS WE'VE TAKEN THE DETAILED MATERIAL THAT IS  
13 IN THE TABLE I REFERRED TO PREVIOUSLY, AND WE PUT IT  
14 INTO A SET OF SLIDES WHICH, AGAIN, YOU HAVE COPIES OF  
15 THOSE SLIDES, AND THOSE SLIDES ARE, AGAIN, USING THE  
16 STUDY GROUP CATEGORIES, WE'VE IDENTIFIED WHAT WE'RE  
17 CALLING ISSUE AREAS, WHICH I HAVEN'T SEEN HOW THEY  
18 REPRODUCED, BUT HOPEFULLY YOU WILL SEE THIS ISSUE AREA.  
19 AND WE ALSO INTRODUCED A SET OF SORT OF KEY QUESTIONS  
20 THAT HAVE COME UP.

21 AND OUR HOPE IS THAT BY DOING THIS, WE'LL BE  
22 ABLE TO ORIENT THE DISCUSSION AROUND ISSUES AND ALSO  
23 PROVIDE SOME GUIDANCE IN TERMS OF IMMEDIATE QUESTIONS  
24 THAT HAVE COME UP WITHIN THOSE ISSUE AREAS. AND WE'LL  
25 HAVE A CHANCE TO SEE HOW THIS WORKS TOMORROW HOPEFULLY

1 WITH YOUR APPROVAL.

2 SO WHAT WE'RE REQUESTING AT THIS TIME IS  
3 APPROVAL FOR THE TOPICS AND QUESTIONS FOR USE IN THE  
4 PUBLIC SESSION. AND AGAIN, AS THEY'RE PRESENTED IN THE  
5 POWERPOINT DOCUMENT, NOT THE MORE EXTENSIVE TABLE IN  
6 YOUR BINDER, BUT IN THAT POWERPOINT DOCUMENT, AND THAT  
7 WOULD SERVE AS OUR TOOL FOR MOVING THE DISCUSSION  
8 FORWARD IN THE PUBLIC SESSION TOMORROW.

9 CO-CHAIR LANSING: ARE YOU REQUESTING A VOTE  
10 OF APPROVAL?

11 MR. LOMAX: YES. WE'D LIKE TO GET APPROVAL  
12 OF THE PRESENTATION.

13 CO-CHAIR LANSING: I MOVE APPROVAL OF THE  
14 POWERPOINT PRESENTATION, WHICH WILL BE USED IN THE  
15 PUBLIC COMMENT FOR TOMORROW, AND IT'S AT THE L.A.  
16 PUBLIC LIBRARY. I BELIEVE IT STARTS AT TEN TOMORROW  
17 TILL TWO. SO DO I HAVE A SECOND?

18 DR. CIBELLI: DON'T WE HAVE TO READ THIS  
19 FIRST?

20 CO-CHAIR LANSING: I'M SORRY. I THOUGHT YOU  
21 HAD. SO YOU SHOULD TAKE SOME TIME TO READ IT.

22 DR. OLDEN: I HAVE A QUESTION.

23 DR. HALL: WE CAN GO THROUGH THESE. LET ME  
24 JUST COMMENT QUICKLY. WE CAN GO THROUGH THESE ONE BY  
25 ONE IF YOU WISH. THESE ARE DISTILLED FROM THE

1 DISCUSSIONS OF EACH OF THE STUDY GROUPS AND ARE SIMPLY  
2 MEANT TO FOCUS THE SESSION TOMORROW. THEY'RE NOT MEANT  
3 TO BE PROSCRIPTIVE AND THEY'RE NOT MEANT TO LIMIT THE  
4 DISCUSSION, BUT SIMPLY TO ORGANIZE IT IN A USEFUL WAY.

5 CO-CHAIR LANSING: AND AT THE END THERE'S A  
6 GENERAL ONE THAT JUST SAYS ANY OTHER COMMENTS. THIS IS  
7 TO GIVE THE PUBLIC SPECIFIC POINTS. OTHERWISE PEOPLE  
8 WILL BE ALL OVER.

9 DR. CIBELLI: I GET IT. I JUST WANT TO READ  
10 IT FIRST.

11 CO-CHAIR LANSING: I THOUGHT EVERYBODY HAD.  
12 I APOLOGIZE. WHY DON'T WE TAKE A FEW MINUTES TO LET  
13 EVERYBODY READ IT WHO HASN'T HAD AN OPPORTUNITY TO AND  
14 MAKE ANY CORRECTIONS THAT YOU WOULD LIKE.

15 DR. OLDEN: THANK YOU. I'M NOT SURE THIS IS  
16 THE APPROPRIATE TIME TO RAISE THESE TWO ISSUES, BUT I  
17 WONDER IF WE SHOULD NOT HAVE AN EXPLICIT POLICY WITH  
18 RESPECT TO ACCESS TO THE BENEFITS OF STEM CELL  
19 RESEARCH. IT SEEMS TO ME AS I WENT THROUGH THE  
20 ACADEMIES' REPORT AS WELL, THERE WAS NO MENTION OF  
21 SOCIAL ACCESS TO THE BENEFITS. AND IT SEEMS TO ME THAT  
22 A COMPELLING CASE HAS BEEN MADE TO THE AMERICAN PEOPLE  
23 TO SUPPORT THIS RESEARCH ON THE BASIS OF THE FACT THAT  
24 EVERY SINGLE AMERICAN WILL BENEFIT FROM THIS RESEARCH,  
25 UNLIKE, FOR EXAMPLE, DEVELOPMENT OF CHEMOTHERAPY FOR

1 PROSTATE CANCER. EVERY AMERICAN WILL NOT BENEFIT.

2 SO I THINK THAT THERE NEEDS TO BE SOME  
3 ASSURANCE THAT EVERY AMERICAN WILL HAVE ACCESS TO THE  
4 BENEFITS OF STEM CELL RESEARCH IN THE STATE OF  
5 CALIFORNIA. SO THAT'S ONE CONCERN THAT I HAVE THAT WE  
6 HAVE NOT ADDRESSED.

7 AND THE OTHER ONE IS THE ACADEMY DID MENTION  
8 THE ISSUE OF DONOR RECRUITMENT WITH RESPECT TO  
9 DIVERSITY. I THINK THAT'S AN IMPORTANT ISSUE TO  
10 COMMENT ON TOO BECAUSE IF WE DON'T OUTREACH TO THE  
11 COMMUNITY AND MAKE SURE THAT THE DONORS ARE  
12 REPRESENTATIVE OF THE AMERICAN POPULATIONS, THE  
13 LIKELIHOOD THAT ONE WOULD FIND A HISTOCOMPATIBILITY  
14 MATCH WHEN ONE WENT TO RECEIVE THERAPY WOULD BE  
15 LESSENER. AND SO I THINK THOSE TWO ISSUES NEED TO BE  
16 DISCUSSED, MENTIONED, AND SOME RECOMMENDATIONS MADE BY  
17 THIS COMMITTEE.

18 CO-CHAIR LANSING: I TOTALLY AGREE WITH YOU.  
19 SO THE QUESTION IS DO WE PUT IT IN HERE, OR IS THIS THE  
20 WORK OF THE COMMITTEE TO MAKE THOSE RECOMMENDATIONS.

21 DR. OLDEN: I DON'T KNOW. I'M JUST RAISING  
22 THEM, AND I DON'T KNOW WHERE IT'S THE APPROPRIATE PLACE  
23 TO ADDRESS THEM.

24 DR. HALL: KEN, ARE YOU GOING TO BE HERE  
25 TOMORROW --

1 DR. OLDEN: YES.

2 DR. HALL: -- FOR THE PUBLIC MEETING? I  
3 THINK THAT WOULD BE IDEAL FOR YOU TO BRING THAT UP.  
4 AND THAT'S PRECISELY WHAT THE LAST OPEN SLIDE ON THIS  
5 IS MEANT TO DO; AND THAT IS, FOR AREAS THAT WE HAVE NOT  
6 COVERED IN THE WORKING GROUPS, SO THAT WOULD BE A GREAT  
7 THING.

8 CO-CHAIR LANSING: SOME OF IT WOULD GO UNDER  
9 DONOR RECRUITMENT. IT COULD BE VERY MUCH UNDER DONOR  
10 RECRUITMENT. SOMETHING TO ADD TO THE THING.

11 DR. OLDEN: YES. THANK YOU.

12 DR. KIESSLING: AS WE LOOK THROUGH THIS  
13 POWERPOINT, WHICH I THINK IS WHAT WE'VE BEEN HANDED,  
14 RIGHT, IT SEEMS TO ME AS THOUGH THE SLIDES THAT BEGIN  
15 DONOR RECRUITMENT AND PROTECTION, INTERSTATE AND  
16 INTERNATIONAL COLLABORATION, THAT THAT'S WHAT WE'RE  
17 DISCUSSING TODAY. SO I'M NOT SURE THAT AFTER TODAY'S  
18 DISCUSSION THESE WOULD BE THE POINTS OR THE ONLY  
19 POINTS. SOMEBODY HAS DECIDED THESE ARE THE IMPORTANT  
20 TOPICS, RIGHT?

21 CO-CHAIR LANSING: HERE'S WHAT HAPPENED.  
22 MAYBE I CAN ADD SOME CLARITY TO THIS. CORRECT ME IF  
23 I'M WRONG. EACH OF THE SUBCOMMITTEES BROKE DOWN, AND  
24 THE SUBCOMMITTEES CAME BACK WITH THESE AREAS. SO WE  
25 ACCEPTED THESE AREAS BECAUSE WE WEREN'T ON THE



1 INDIVIDUAL SUBCOMMITTEES. NOW WE'RE GOING TO DISCUSS  
2 THAT TODAY AND WE MAY MAKE CHANGES, BUT WE HAD TO HAVE  
3 SOME ORGANIZATION FOR TOMORROW. SO WE START WITH THIS  
4 ORGANIZATION.

5 NOW, WE HAVE TWO CHOICES. WE CAN EITHER, FOR  
6 EXAMPLE, AS KENNETH BROUGHT UP A VERY GOOD POINT, WE  
7 COULD EITHER PUT UNDER DONOR RECRUITMENT DIVERSITY AS  
8 POINT 4. I DON'T KNOW IF THE STAFF CAN GET IT READY IN  
9 TIME, SO I'M ASKING YOU THAT. AND MAYBE THEY CAN'T.  
10 SO THEN IT WOULD BE OUR RESPONSIBILITY TO BRING IT UP  
11 IN THAT MEETING. I WROTE IT DOWN NOW, BUT KEN IS GOING  
12 TO BE THERE.

13 THIS IS JUST REALLY AN ORGANIZATIONAL TOOL SO  
14 WE CAN START TO HAVE SOMETHING FOR THE PUBLIC.

15 DR. CIBELLI: JUST TO CLARIFY, THESE ARE THE  
16 POINTS OF THE STAFF? THESE ARE THE QUESTIONS THE STAFF  
17 RAISED TO THE GROUPS. IN TERMS OF THE BANKING, FOR  
18 EXAMPLE, I CAN SEE SOME OF THESE QUESTIONS WERE PUT TO  
19 US TO TRY TO ANSWER IN THIS CONFERENCE CALL THAT WE HAD  
20 A WEEK AGO. THE ANSWERS TO THE QUESTIONS ARE NOT  
21 REALLY HERE. WE HAVEN'T -- I DON'T SEE THEM.

22 DR. HALL: NO. NO. LET ME JUST SAY WE'RE IN  
23 AN INFORMATION GATHERING STAGE. WE ARE PREPARING TO  
24 CREATE A DOCUMENT THE FIRST OF NOVEMBER THAT WILL BE  
25 THE FINAL RECOMMENDATION OF THIS GROUP.

1 DR. CIBELLI: IF YOUR INTENTION IS TO SHOW  
2 THE PUBLIC WHAT THE QUESTIONS ARE, THIS IS OKAY.

3 DR. HALL: SO THE POINT IS, YES, WE WILL  
4 DISCUSS THESE QUESTIONS TODAY, AND WE'LL ASK INPUT ON  
5 THE SAME QUESTIONS TOMORROW. AND THESE AREN'T MEANT IN  
6 ANY WAY TO LIMIT OR TO BE PROHIBITIVE. I THINK WE WERE  
7 FACED WITH THE PROSPECT OF A PUBLIC MEETING AT WHICH  
8 PEOPLE WOULD GET UP AND AT RANDOM ADDRESS ALL SORTS OF  
9 TOPICS. AND THIS WAS JUST AN ATTEMPT TO TRY TO  
10 COORDINATE THE EFFORTS OF OUR STUDY GROUPS WITH THE  
11 PUBLIC INPUT. AND AS I SAY, WE WELCOME INPUT ON OTHER  
12 LINES OR TOPICS, BUT THIS IS JUST A WAY OF TRYING TO  
13 SAY, OKAY, LET'S TALK ABOUT THESE ISSUES IN TURN.

14 AND THEN WHEN WE BRING THAT MATERIAL, WE WILL  
15 ORGANIZE THE MATERIAL FROM THE PUBLIC MEETINGS BECAUSE  
16 EVERYBODY AT THIS GROUP WON'T BE THERE TOMORROW, BRING  
17 THAT BACK TO THE COMMITTEE SO THAT THE COMMITTEE, THIS  
18 WORKING GROUP, AT LEAST HAS INFORMATION OF WHAT THE  
19 PUBLIC HAS SAID ON A VARIETY OF TOPICS. AND THAT WILL  
20 BE PART OF THE INPUT ALONG WITH THE DELIBERATIONS THAT  
21 YOU UNDERGO FOR THE FINAL PROCESS OF DRAFTING THE  
22 DOCUMENT.

23 SO IT'S NOT -- I DON'T WANT MAKE TOO BIG A  
24 DEAL OF THIS. THIS WAS JUST AN ATTEMPT TO SORT OF GIVE  
25 A LITTLE STRUCTURE TO THE MEETING TOMORROW THAT WOULD

1 BE BOTH HELPFUL TO US AND THAT WOULD COORDINATE OUR TWO  
2 ACTIVITIES; THAT IS, OUR INTERNAL DISCUSSIONS AND THE  
3 DISCUSSIONS TOMORROW.

4 CO-CHAIR LO: IF I COULD MAKE A SUGGESTION IN  
5 LINE WITH BOTH ANN AND JOSE'S COMMENTS. IT STRIKES ME  
6 THAT JUST AS KEN OLDEN RAISED SOME POINTS THAT WEREN'T  
7 COVERED IN THESE POWERPOINTS THAT WE WILL DEFINITELY  
8 BRING TO THE PUBLIC TOMORROW, IF IN THE COURSE OF OUR  
9 DELIBERATIONS THE REST OF TODAY, ISSUES COMES UP THAT  
10 WE DEEM VERY IMPORTANT THAT WE WOULD LIKE PUBLIC INPUT  
11 ON BEFORE WE DRAFT THE FINAL DRAFT GUIDELINES, WE CAN  
12 CERTAINLY RAISE THEM ORALLY DURING THE MEETING TOMORROW  
13 TO GET PUBLIC INPUT.

14 MS. CHARO: SUBSTANTIVELY JUST WANTED TO  
15 POINT OUT SOMETHING ON ONE OF THE SLIDES, THE ONE THAT  
16 FOCUSES ON PRECLINICAL RESEARCH STANDARDS. PUTTING  
17 ASIDE -- BY THE WAY, THERE'S A TYPO THE WORD "CORD"  
18 JUST TO CATCH IT FOR YOU. THE SECOND AND THIRD ITEMS,  
19 1(B) ABOUT INFORMED CONSENT, AND IT INCLUDES SOME  
20 THINGS THAT I WAS SURPRISED TO FIND. RIGHT NOW THE  
21 FOCUS HAS BEEN ENTIRELY ON EMBRYONIC STEM CELL  
22 RESEARCH. AND WHILE CIRM WILL BE FUNDING BEYOND THAT  
23 INTO OTHER AREAS OF STEM CELL RESEARCH, THAT HASN'T  
24 BEEN THE FOCUS OF ANY DISCUSSION AT ALL SO FAR. AND  
25 THIS SEEMS TO BE THE VERY FIRST TIME THAT POPS UP.

1                   AND I WANTED TO JUST RAISE A QUESTION AS TO  
2 WHETHER OR NOT WE'RE PREPARED FOR PUBLIC COMMENT YET ON  
3 THE SOMEWHAT DIFFERENT ISSUES RAISED BY STEM CELL  
4 RESEARCH COMING FROM ADULT SOURCES, FROM FETAL TISSUE,  
5 FROM CORD BLOOD, ETC.

6                   AND THEN SECOND, JUST AS AN ORGANIZATIONAL  
7 NOTE, BOTH THE ITEMS UNDER INFORMED CONSENT IN SOME  
8 WAYS SEEM TO FIT BETTER UNDER DONOR RECRUITMENT AND  
9 PROTECTION. PRECLINICAL RESEARCH IS REALLY ABOUT THE  
10 USE OF THE CELL LINES IN LAB OR ANIMAL TESTING. IT'S  
11 BEEN SO TERRIBLY HARD TO KEEP STRAIGHT IN EVERYBODY'S  
12 MIND THE DIFFERENT WAY RULES APPLY WHEN YOU'RE AT THE  
13 DERIVATION STAGE VERSUS AT THE USE OF CELL LINE STAGE,  
14 THAT I WANTED TO SUGGEST MAYBE PUTTING THAT BACK CLOSER  
15 TO WHERE IT BELONGS JUST FOR THE SAKE OF CLARITY.

16                  DR. KIESSLING: I GUESS WHAT YOU'RE HEARING  
17 IS THAT THERE'S SOME CONCERNS THAT THE PUBLIC IS GOING  
18 TO FEEL THAT THESE ARE THE MOST IMPORTANT ISSUES THAT  
19 THIS COMMITTEE HAS AGREED UPON. ALTHOUGH I THINK IT'S  
20 IMPORTANT TO GUIDE THE PUBLIC DISCUSSION, I KNOW  
21 PERSONALLY THAT IT'S POSSIBLE TO REDO A POWERPOINT  
22 PRESENTATION TWO MINUTES BEFORE YOU GIVE IT. SO I  
23 THINK IT MIGHT BE -- I'M A LITTLE BIT CONCERNED THAT  
24 THE PUBLIC IS GOING TO THINK THESE FOUR POWERPOINT  
25 SLIDES REPRESENT THE THINKING OF THE CONCERNS OF THIS

1 COMMITTEE.

2 MR. LOMAX: WE'RE CERTAINLY PREPARED AND IN A  
3 POSITION TO MODIFY THE SLIDES. I HOPE WE HAVEN'T GIVEN  
4 YOU ALL THE IMPRESSION. THAT'S NOT A PROBLEM AND WE  
5 CAN MAKE ADDITIONS. SO IT REALLY COMES DOWN TO I THINK  
6 HOW WE WANT TO PROCEED.

7 DR. HALL: ANN, I THINK THE QUESTION IS IS  
8 THIS USEFUL OR NOT. OUR SENSE WAS RATHER THAN A  
9 COMPLETELY UNFORMED DISCUSSION, THE ALTERNATIVE WOULD  
10 BE TO LEAVE THE QUESTIONS OUT AND PRESENT THE TOPICS OR  
11 TO HAVE NOTHING AND JUST SAY ANYBODY CAN GET UP AT ANY  
12 TIME AND SAY WHAT THEY FEEL LIKE AND THEN WE'LL  
13 ORGANIZE IT LATER.

14 LET ME JUST EMPHASIZE THAT THIS WAS NOT AN  
15 ATTEMPT BY THE STAFF TO DO ANYTHING. THIS WAS BASED ON  
16 THE DISCUSSIONS OF THE WORKING GROUPS -- THE SUBGROUPS  
17 WHERE THEY WERE AVAILABLE. AND WE TRIED TO WORK WITH  
18 THEM TO PRODUCE THESE. SO THERE'S NOT -- AS FAR AS I  
19 KNOW, THIS REPRESENTS THE BEST THINKING. IN SOME CASES  
20 WHERE THERE WERE TOO MANY TOPICS RAISED BY ONE OF THE  
21 GROUPS, WE SAID, WELL, IT SEEMS TO US THE MOST  
22 IMPORTANT ONES ARE THESE. AGAIN, IT'S REALLY MEANT TO  
23 BE HELPFUL. IT'S NOT MEANT IN ANY WAY TO BE DIRECTIVE  
24 OR TO CUT OFF DISCUSSION OR ANYTHING OF THAT SORT.

25 IF IT IS THE FEELING OF THIS WORKING GROUP

1 THAT WE SHOULD FORGET THESE AND JUST HAVE AN OPEN  
2 DISCUSSION, PERIOD, WITHOUT STRUCTURE, WE ARE HAPPY TO  
3 DO THAT. THIS IS ONLY MEANT TO BE HELPFUL. WHATEVER  
4 YOUR WISH IS WE WILL ABIDE BY.

5 MR. KLEIN: ZACH, AS I UNDERSTAND IT, THIS IS  
6 A WAY TO COLLECT THOUGHTS IN AN ORGANIZED WAY SO THAT  
7 WE SYSTEMATICALLY ARE DISCUSSING ALL THOSE WHO CAN  
8 ADDRESS THE SPECIFIC CONCEPT AT ONE TIME RATHER THAN  
9 RANDOMLY SPREAD THROUGH THE DAY SO THAT WE CAN GET THE  
10 BENEFIT OF THE INTENSE FOCUS ON ONE IDEA BEFORE MOVING  
11 TO THE NEXT. AND AS HAS BEEN DISCUSSED HERE, THAT  
12 IDEAS THAT COME UP DURING THIS SESSION TODAY OR  
13 TOMORROW CAN BE ADDED AS THEY COME UP.

14 IN ADDITION, THE IDEAS THAT ARE BROUGHT UP  
15 FROM THE MEMBERS OF THIS COMMITTEE OVERNIGHT OR MEMBERS  
16 OF THE PUBLIC AND THE COMMITTEE TOMORROW, BUT THIS  
17 ALLOWS US A SYSTEMATIC WAY TO TRY AND COLLECT ALL THE  
18 IDEAS ON A PARTICULAR SUBJECT IN A PARTICULAR TIME  
19 PIECE WHEN WE CAN FOCUS AND SORT AND COMPARE THEM ONE  
20 AGAINST THE OTHER RATHER THAN HAVING THEM FOUR HOURS  
21 APART.

22 CO-CHAIR LANSING: I ALSO JUST WANT TO SAY  
23 THAT SOMETIMES IT CAN BE ACCOMPLISHED BY JUST SETTING  
24 THE TONE IN THE BEGINNING. IF WE SAY TO THE PUBLIC,  
25 SINCE I'LL BE SAYING IT, LOOK, WE ORGANIZED THIS INTO

1 HEADINGS. THIS IS BY NO MEANS AN ATTEMPT TO LIMIT THE  
2 DISCUSSION BECAUSE THE LAST HEADING IS GENERAL  
3 DISCUSSION. WE GAVE YOU SOME SAMPLE QUESTIONS, BUT NOT  
4 ALL SAMPLE QUESTIONS. THIS IS JUST TO HELP ORGANIZE  
5 YOUR THINKING, BUT DON'T BE LIMITED BY IT. DO YOU  
6 KNOW? EVEN IN OUR OWN GROUP WE HAD TEN OTHER QUESTIONS  
7 WE WANTED TO ADD, BUT THIS IS AN ATTEMPT TO KIND OF  
8 GUIDE YOUR THINKING. MAYBE THAT WOULD BE THE BEST.

9 BUT, AGAIN, IF THE COMMITTEE WANTS NO  
10 QUESTIONS, JUST THE HEADLINES, LEAVE IT THE WAY IT IS  
11 OR JUST A FREESTANDING THING. I PERSONALLY THINK THIS  
12 AT LEAST GIVES SOME ORGANIZATION.

13 DR. PRIETO: I THINK THIS IS USEFUL ALSO, BUT  
14 I'D HAVE TO AGREE WITH THE POINTS RAISED BY ALTA, THAT  
15 PERHAPS THE INFORMED CONSENT ISSUES DO BELONG UNDER  
16 DONOR RECRUITMENT AND THAT WE SHOULD SOMEWHERE ADD  
17 HERE, ADDRESS THE ISSUE OF STEM CELL RESEARCH ON  
18 NONEMBRYONIC STEM CELL LINES. THE INITIATIVE DOES  
19 ALLOW FOR THAT. AND QUESTIONS MAY COME UP, AND THEY'RE  
20 CERTAINLY VALID AND IMPORTANT QUESTIONS WE'LL HAVE TO  
21 ADDRESS.

22 CO-CHAIR LANSING: WELL, THEN, WOULD IT BE  
23 HELPFUL TO TABLE THIS AND MAYBE AT LUNCHTIME HAVE A  
24 WORKING GROUP OF A COUPLE OF PEOPLE ADDING THIS AND  
25 CORRECTING IT IN A WAY THAT MAKES EVERYBODY HAPPY AND

1 REPRESENT IT? IS THAT THE FAVOR OF EVERYBODY?

2 DR. PRIETO: COULD WE JUST MAKE A MOTION NOW  
3 TO MAKE THOSE CHANGES AS SUGGESTED BY ALTA AND ASK  
4 STAFF TO JUST MODIFY THAT FOR TOMORROW'S DISCUSSION?

5 DR. HALL: LET ME JUST COMMENT ON ONE OF THE  
6 POINTS MADE BY ALTA. I THINK FRANCISCO IS PERFECTLY  
7 CORRECT. WE WILL NEED TO HAVE SOME SORT OF GUIDELINES  
8 THAT MAY NOT NEED TO BE AS ELABORATE. WE NEED TO REFER  
9 SOMETHING FOR WORK THAT'S NOT ON HUMAN EMBRYONIC STEM  
10 CELLS BECAUSE WE WILL BE INVOLVED IN THAT. THAT  
11 ACTUALLY WE SEE AS A GAP EVEN IN OUR INTERIM STANDARDS.  
12 AS YOU WILL HEAR LATER, WE HOPE TO DRAFT SOMETHING FOR  
13 YOU IN NOVEMBER THAT WILL COVER US UNTIL THE END OF  
14 THIS 270-DAY PERIOD.

15 BUT I WOULD HOPE THAT THE FINAL DOCUMENT THAT  
16 IS PRODUCED DOES HAVE SOME STATEMENT ABOUT THE USE OF  
17 ADULT STEM CELLS OR FETAL STEM CELLS AS APPROPRIATE.  
18 ALTHOUGH THAT HAS NOT BEEN A MAJOR TOPIC OF DISCUSSION,  
19 ALTA, I THINK IT'S NOT INAPPROPRIATE TO GET PUBLIC  
20 COMMENT ON THOSE TOMORROW. IF IT'S IN THE WRONG PLACE,  
21 WE CAN REARRANGE IT.

22 MS. CHARO: NO. I WOULDN'T SAY IT'S  
23 INAPPROPRIATE SO MUCH AS IT JUST SEEMS TO COME OUT OF  
24 NOWHERE, AND IT'S KIND OF BURIED IN THE MIDST OF  
25 EVERYTHING ELSE. SO LET'S JUST TELL PEOPLE, OKAY, NOW



1 WE' RE GOING TO MOVE ON TO A NEW TOPIC.

2 MR. KLEIN: I' D SECOND THE MOTION THAT  
3 FRANCISCO WAS MAKING IF THAT' S APPROPRIATE FOR THE  
4 CHAIR.

5 CO-CHAIR LANSING: ANY OTHER COMMENT?

6 DR. OLDEN: YES. I WONDER IF I COULD COMMENT  
7 ON THE PUBLIC MEETINGS THEMSELVES. THERE ARE PEOPLE  
8 WHO CANNOT PARTICIPATE IN PUBLIC MEETINGS THAT TAKE  
9 PLACE NINE TO FIVE. SO THERE OUGHT TO BE SOME MEETINGS  
10 PLANNED THAT WOULD OCCUR IN THE EVENINGS, FOR EXAMPLE.  
11 WE' VE HAD TOWN MEETINGS AROUND THE COUNTRY, AND WE' VE  
12 DONE THEM OFTEN AT AN EVENING SESSION AND A DAY SESSION  
13 NINE TO FIVE. AND THE PEOPLE WHO APPEAR IN THE EVENING  
14 TO COMMENT ARE VERY DIFFERENT FROM THE PEOPLE WHO WILL  
15 APPEAR FROM NINE TO FIVE.

16 SO WE WANT TO HEAR FROM ALL OF THE CITIZENS  
17 OF THE STATE OF CALIFORNIA, SO I THINK WE OUGHT TO  
18 PROVIDE AN OPPORTUNITY, A VEHICLE, FOR THAT TO OCCUR.

19 CO-CHAIR LANSING: I ACTUALLY THINK THAT' S A  
20 VERY GOOD POINT. NOW, WE HAVE TWO SCHEDULED, BUT WE  
21 DON' T HAVE THE SACRAMENTO ONE SCHEDULED. SO WE  
22 CERTAINLY COULD MAKE A DECISION TO DO THE SACRAMENTO  
23 ONE IN THE EVENING. I ACTUALLY THINK THAT' S A VERY  
24 GOOD POINT. I HAVE TO SAY I HAD NOT THOUGHT OF THAT.  
25 I THINK THAT' S SOMETHING FOR US IN ALL OF OUR PUBLIC

1 DISCUSSIONS TO BE VERY MINDFUL OF.

2 AND THE OTHER THING TO DO, OF COURSE, IT'S  
3 TOO LATE TO DO IT FOR TOMORROW, BUT YOU COULD START AT  
4 THREE AND YOU COULD GO TO EIGHT OR SOMETHING LIKE THAT.

5 DR. OLDEN: EXACTLY.

6 CO-CHAIR LANSING: I DON'T KNOW IF IT'S TOO  
7 LATE TO CHANGE SAN FRANCISCO TO THAT. WE COULD LOOK  
8 INTO IT. THAT'S A VERY GOOD POINT. REALLY IS. THANK  
9 YOU.

10 ANY OTHER COMMENT? JOSE, ARE YOU COMFORTABLE  
11 WITH THIS NOW?

12 DR. CIBELLI: I AM.

13 CO-CHAIR LANSING: ALL IN FAVOR.

14 MR. REED: ARE WE HAVING PUBLIC COMMENT?

15 CO-CHAIR LANSING: YEAH.

16 MR. REED: THIS MORNING AT THE AIRPORT I  
17 ASKED TWO SEPARATE WOMEN THEIR THOUGHTS ON THESE  
18 QUESTIONS. AND I READ THEM SOME OF THEM. AND THE  
19 LEVEL OF CONFUSION WAS EXTRAORDINARY, AND THESE WERE  
20 INTELLIGENT PEOPLE. ONE PERSON WAS A COMPUTER PERSON  
21 WHO WAS ACTUALLY LISTENING TO A TAPE RECORDING ON STEM  
22 CELLS. THE OTHER PERSON WAS SOMEBODY WHO WORKED AT  
23 STANFORD.

24 I WONDER IF WE COULDN'T STICK EVERY SO OFTEN  
25 A SENTENCE TO THE EFFECT OF WHY THIS IS NECESSARY.

1 WHEN YOU SAY CHIMERA, ANIMAL/HUMAN MIXES, THAT'S  
2 FRIGHTENING. IF YOU SAY IT MAY BE NECESSARY, IT MAY  
3 MEAN WE NEED TO USE LESS EGGS IF WE COULD USE SKIN OF A  
4 RABBIT EGG CELL, OR WE HAVE TO DO THIS BECAUSE THIS.  
5 JUST A SENTENCE, IT MIGHT HELP US. IN STEM CELL  
6 RESEARCH, IT MIGHT HELP TO US HELP FIGHT PARALYSIS IF  
7 WE DO THIS, SO THEY HAVE SOME IDEA AT EACH ONE OF THE  
8 HORROR THINGS WHY WE'RE DOING IT. THESE ARE PEOPLE  
9 THAT DON'T HAVE YOUR EXPERTISE.

10 MS. LANSING: THANK YOU. THAT'S REALLY VERY  
11 VALID FOR THE STAFF TO TAKE INTO ACCOUNT WHEN WE DO  
12 THESE POINTS. I ACTUALLY AGREE WITH YOU BECAUSE IT  
13 WILL HELP OUR COMMUNICATION TREMENDOUSLY TO THE PUBLIC  
14 IF WE DO THAT.

15 ANY OTHER PUBLIC COMMENT? KEN.

16 MR. LOMAX: ONE OTHER POINT OF CLARIFICATION  
17 BECAUSE IT CAME UP IN THE DISCUSSION, AND IT WILL  
18 AFFECT THE PROCESS AS WE MOVE THROUGH IT. BUT ONE  
19 POINT IN TERMS OF HOW THE QUESTIONS OR ISSUES WERE  
20 ARRIVED AT, AND I WANT TO POINT OUT THERE WAS ACTUALLY  
21 A REAL SPECTRUM OF PROCESS THAT VARIED BETWEEN EACH OF  
22 THE STUDY GROUPS. IN SOME CASES THE BANKING STUDY  
23 GROUP WAS AN EXTREME EXAMPLE OF THE STAFF-INITIATED SET  
24 OF QUESTIONS TO FACILITATE DISCUSSIONS. AND I THINK AT  
25 THE OTHER END OF THAT SPECTRUM, THERE WERE CHAIRS WHO

1 REALLY LAID OUT IN EXPANSIVE DETAIL SOME OF THE ISSUES  
2 AND QUESTIONS. SO THERE WAS A LOT OF VARIATION WITHIN  
3 THE STUDY GROUPS IN TERMS OF HOW SOME OF THESE ISSUES  
4 WERE PRESENTED, LAID OUT, AND PUT TOGETHER. AND THIS  
5 WORK REALLY REPRESENTS OUR BEST STAFF EFFORT AT TRYING  
6 TO SORT OF BRING ALL THAT TOGETHER FOR THE BENEFIT OF  
7 THIS DISCUSSION.

8 CO-CHAIR LANSING: AND, AGAIN, I WANT TO  
9 EMPHASIZE THIS IS A WORK IN PROGRESS. THIS IS JUST A  
10 BEGINNING. THESE QUESTIONS ARE JUST THE TIP OF THE  
11 ICEBERG. AND WITH THAT SAID, I'M GOING TO TURN IT OVER  
12 TO BERNIE.

13 CO-CHAIR LO: THANKS VERY MUCH, SHERRY.  
14 WE'RE GOING TO GO BACK TO ITEM 5 ON YOUR AGENDA,  
15 CONSIDERATION OF STANDARDS WORKING GROUP BYLAWS, AND  
16 I'M GOING TO ASK JAMES HARRISON, A CONSULTANT TO CIRM,  
17 TO SET THIS DISCUSSION UP FOR US.

18 MR. HARRISON: PROPOSITION 71 REQUIRES EACH  
19 OF THE THREE WORKING GROUPS TO PROPOSE TO THE ICOC  
20 RULES AND GUIDELINES AND PROCEDURES FOR THE OPERATION  
21 OF THE WORKING GROUP. THE ICOC THEN CONSIDERS AND  
22 ADOPTS PROCEDURES AND GUIDELINES FOR THE OPERATION OF  
23 THE WORKING GROUPS.

24 THESE BYLAWS ARE OUR ATTEMPT TO, IN A VERY  
25 BRIEF WAY, TO SET FORTH THE MANNER IN WHICH THIS

1 WORKING GROUP WILL OPERATE. WHAT THE BYLAWS DO IS SET  
2 FORTH THE MISSION OF THE STANDARDS WORKING GROUP, WHICH  
3 IS BASED ON THE TEXT OF PROPOSITION 71, THE COMPOSITION  
4 OF THE WORKING GROUP, THE APPOINTMENT OF THE CO-CHAIRS,  
5 WHO ARE DESIGNATED AS AN ICOC MEMBER WHO IS A PATIENT  
6 ADVOCATE AND A SCIENTIST, CLINICIAN, OR ETHICIST MEMBER  
7 OF THE WORKING GROUP.

8 THE BYLAWS ALSO SET FORTH THAT THE MEMBERS OF  
9 THE WORKING GROUP WILL BE BOUND BY CONFLICT OF INTEREST  
10 POLICIES ADOPTED BY THE ICOC. THE GUIDELINES PROVIDE  
11 FOR OPEN MEETINGS ACCORDING TO THE PROCEDURES THAT YOU  
12 ADOPTED AT YOUR LAST MEETING, AND A PROCEDURE FOR  
13 RECOMMENDING STANDARDS TO THE ICOC, DEFINING A QUORUM  
14 AS 65 PERCENT, WHICH IS SET FORTH IN PROPOSITION 71,  
15 AND PROVIDING THAT RECOMMENDATIONS WILL BE MADE BY A  
16 MAJORITY VOTE OF THE QUORUM WITH MINORITY REPORTS  
17 PRESENTED IF 35 PERCENT OF THE MEMBERS OF THE WORKING  
18 GROUP HAVE A MINORITY POSITION THEY'D LIKE TO FORWARD  
19 TO THE ICOC.

20 AND FINALLY, THE BYLAWS PROVIDE THAT, UNLESS  
21 THEY'RE OTHERWISE CONTRADICTED, THAT ROBERT'S RULES OF  
22 ORDER WILL GOVERN THE CONDUCT OF MEETINGS OF THE  
23 WORKING GROUP.

24 SO THEY'RE QUITE STRAIGHTFORWARD. WE HAVE  
25 DRAFTED THEM FOR YOUR CONSIDERATION, AND WE'D BE HAPPY

1 TO ANSWER ANY QUESTIONS YOU HAVE ABOUT THEM.

2 CO-CHAIR LO: THANK YOU. ANY QUESTIONS TO  
3 JAMES ABOUT THEM? DO WE WANT TAKE A COUPLE MINUTES  
4 JUST TO READ THROUGH THESE? I DON'T KNOW. HAVE YOU  
5 ALL READ THESE ALREADY? YES. ANY QUESTIONS, THEN, FOR  
6 JAMES ABOUT THE PROPOSED BYLAWS?

7 MR. SHEEHY: I'D LIKE TO COME BACK TO DR.  
8 OLDEN'S ISSUE. IT'S ALWAYS BEEN KIND OF AMBIGUOUS. WE  
9 TOOK THE LANGUAGE FOR THE RECOMMENDATIONS IN 3(B)  
10 DIRECTLY, I THINK, FROM PROP 71, BUT THERE'S BEEN  
11 AMBIGUITY AS TO WHETHER ACCESS ISSUES WOULD COME  
12 THROUGH THIS COMMITTEE AT SOME POINT OR NOT. I WONDER  
13 IF WE WANT TO STIPULATE THAT MORE DIRECTLY IN OUR  
14 BYLAWS.

15 MR. KLEIN: JEFF, I THINK ACCESS ISSUES ARE  
16 ABSOLUTELY RELEVANT TO STANDARDS, BUT DO YOU THINK THEY  
17 BELONG IN THE BYLAWS, OR SHOULD THEY BE ADOPTED AS A  
18 RESOLUTION OF THIS COMMITTEE TO CONSIDER TO BE WITHIN  
19 ITS JURISDICTION?

20 MR. SHEEHY: EITHER WAY. I JUST THINK THAT  
21 IT'S KIND OF BEEN AN ISSUE THAT HAS BEEN OUT THERE FOR  
22 THIS COMMITTEE THAT IS UNRESOLVED. THE COMMITTEE, IT  
23 SEEMS TO ME, OUGHT TO MAKE A STATEMENT ON IT AT SOME  
24 POINT, WHETHER TODAY OR WHETHER IT'S IN THE CONTEXT OF  
25 THE BYLAWS, WHETHER IN THE CONTEXT OF A RESOLUTION. I

1 THINK THIS IS AT LEAST THE FIRST TIME LANGUAGE  
2 DISCUSSING THE CHARGE FOR THIS SUBCOMMITTEE -- I MEAN  
3 FOR THIS WORKING GROUP THAT OFFERED AN OPPORTUNITY  
4 WHERE LANGUAGE MIGHT BE INSERTED.

5 DR. HALL: I DON'T KNOW THAT ONE CAN -- I  
6 CAN'T SPEAK TO INTENT. IT SEEMS TO ME THAT, AT LEAST  
7 THE WAY I HAVE THOUGHT ABOUT THE PRIMARY CHARGE OF THE  
8 COMMITTEE, IS TO SET THE GUIDELINES BY WHICH THE  
9 RESEARCH IS DONE, MEANING WHAT ARE THE PROCEDURES, WHAT  
10 MUST BE DONE IN ORDER FOR THE RESEARCH TO BE CARRIED  
11 OUT AND SO FORTH AND SO FORTH.

12 THE QUESTIONS OF ACCESS, WHICH HAVE COME UP  
13 IN A VARIETY OF CONTEXTS, ARE, AS YOU KNOW, COMPLICATED  
14 BOTH POLITICAL, ETHICAL, AND OFTEN HAVE TO DO NOT WITH  
15 THE WAY THE RESEARCH IS CARRIED OUT ITSELF, BUT WITH  
16 ARRANGEMENTS THAT ARE MADE SUBSEQUENT TO THE RESEARCH  
17 IN TERMS OF BRINGING IT TO THE CLINIC. IT'S AN  
18 INCREDIBLY IMPORTANT ISSUE FOR THE ICOC AS A WHOLE AND,  
19 AS YOU AND I BOTH KNOW, HAS BEEN A TOPIC OF MUCH  
20 POLITICAL AND OTHER DISCUSSION.

21 IT SEEMS TO ME THAT THE APPROPRIATE ROLE  
22 MIGHT BE FOR THIS COMMITTEE, AND I JUST SUGGEST THIS,  
23 TO MAKE A STATEMENT FROM THE POINT OF VIEW OF THE  
24 ETHICAL STANDARDS WHAT OUR DESIRES MIGHT BE. I THINK  
25 THAT THE DIFFICULT PART WILL BE TO SAY EXACTLY WHAT

1 SHOULD BE DONE TO MAKE THAT HAPPEN. THAT IS, FOR  
2 EXAMPLE, THE POINT THAT KEN MADE ABOUT HAVING THE  
3 RESULTS OF THIS RESEARCH MADE ACCESSIBLE TO THE WIDEST  
4 POSSIBLE GROUP. I THINK A STRONG STATEMENT BY THIS  
5 WORKING GROUP WOULD BE VERY VALUABLE, AND I THINK IT'S  
6 SOMETHING WE ALL WANT.

7 I THINK THE QUESTION IF YOU SAY THEN HOW DO  
8 WE DO THAT, THAT'S WHERE WE GET INTO WHAT I SEE AS A  
9 VERY COMPLICATED ISSUE THAT COULD ENTIRELY CONSUME THIS  
10 GROUP AND HAS RAMIFICATIONS THAT HAVE TO DO WITH  
11 INTELLECTUAL PROPERTY, HAVE TO DO WITH THE POLITICAL  
12 SITUATION, HAVE TO DO WITH PROPOSITION 71, HAVE  
13 FINANCIAL IMPLICATIONS. ALL OF THESE THINGS GET MIXED  
14 UP AND IT BECOMES QUICKLY QUITE COMPLEX.

15 I DON'T KNOW IF THAT'S -- I DON'T KNOW IF  
16 THAT'S SOMETHING THE WORKING GROUP IS COMFORTABLE WITH,  
17 BUT IT SEEMS TO ME THAT THAT IS, I THINK, A STATEMENT  
18 BY THIS COMMITTEE OR WORKING GROUP WOULD BE VERY  
19 VALUABLE IN TERMS OF WHAT WE WANT TO ACHIEVE, AND I  
20 WONDER ABOUT THE MECHANISMS.

21 MR. SHEEHY: MY SENSE IS THAT THIS PROBABLY  
22 WOULD NOT END UP PRODUCING LANGUAGE SIMILAR -- THIS  
23 WOULD NOT BE THE PLACE WHERE WE WOULD END UP WITH  
24 REGULATORY LANGUAGE, FOR INSTANCE. BUT IT SEEMS TO ME  
25 THAT IT MIGHT NOT BE A BAD IDEA TO INCLUDE SOMETHING IN



1 OUR CHARGE, IN OUR FUNCTIONS, SO THAT WHATEVER DOES END  
2 UP BEING PRODUCED, WHICH WILL PROBABLY BE PRODUCED BY A  
3 SEPARATE ENTITY, MAKES ITS WAY THROUGH THIS WORKING  
4 GROUP. I THINK, GIVEN THAT THIS IS A BODY THAT HAS  
5 ETHICISTS ON IT, I THINK THAT IF WE -- WE CAN MAKE A  
6 BROAD POLICY STATEMENT OR A RESOLUTION, BUT THAT  
7 DOESN'T QUITE HAVE THE SAME EFFECT IF AT SOME POINT IN  
8 THE FUTURE WE WERE ABLE TO LOOK AT THE INTELLECTUAL  
9 PROPERTY OR ACCESS ISSUE RECOMMENDATIONS THAT WOULD BE  
10 PROCEEDING TO THE ICOC AND HAVE THEM COME THROUGH HERE  
11 TO AT LEAST HAVE PEOPLE MAKE COMMENT AND HAVE THIS  
12 PARTICULAR WORKING GROUP EXERCISE SOME SORT OF ADVISORY  
13 ROLE ON THAT.

14 CO-CHAIR LO: WE HAVE A NUMBER OF OTHER  
15 PEOPLE WHO WANT TO COMMENT ON THIS ISSUE. I HAVE  
16 FRANCISCO, THEN ROB, AND THEN BOB KLEIN.

17 DR. PRIETO: I SORT OF WANT TO ECHO WHAT JEFF  
18 WAS SAYING. I THINK THAT CLEARLY UNDER 3(A) AND (B)  
19 THESE ISSUES ARE UNDER THE CHARGE OF THIS COMMITTEE,  
20 AND I THINK THAT IT'S ALMOST EXPECTED THAT WE'LL MAKE  
21 RECOMMENDATIONS TO THE ICOC. OBVIOUSLY THIS WILL TOUCH  
22 ON INTELLECTUAL PROPERTY ISSUES AND MANY OTHER ISSUES  
23 THAT ARE GOING TO COME UP AT THE LARGER COMMITTEE. BUT  
24 I DON'T KNOW WHETHER THE BYLAWS ARE THE APPROPRIATE  
25 PLACE FOR THIS, BUT I THINK SOMEWHERE WE NEED TO PUT

1 OUR OPINIONS OUT THERE AND OUR FEELING ABOUT ACCESS TO  
2 THERAPIES WHEN AND IF THEY BECOME AVAILABLE.

3 DR. TAYLOR: I FELT REALLY THE SAME WAY, AND  
4 I THINK JEFF HAS GOT A GOOD POINT, THAT 3(B), ARTICLE  
5 3(B), IS AN OPEN OPPORTUNITY REALLY TO INSERT A GUIDING  
6 PRINCIPLE THAT DIVERSITY AND ACCESS BE PART OF THE  
7 THINGS THAT WE DISCUSS. THERE'S NOTHING REALLY THAT  
8 SPECIFIC ABOUT THE OTHER LANGUAGE IN THIS ARTICLE. AND  
9 I THINK IT WOULD BE A GREAT OPPORTUNITY TO LET PEOPLE  
10 KNOW THAT THIS IS SOMETHING THAT WE'RE THINKING ABOUT  
11 AS A PRIORITY.

12 CO-CHAIR LO: LET ME JUST TRY AND MAKE A  
13 CLARIFYING STATEMENT. WHAT I'M HEARING ARE SEVERAL  
14 DIFFERENT ISSUES THAT ARE ALL RELATED, BUT ARE NOT  
15 QUITE THE SAME. ONE IS DO WE WANT TO MAKE IT CLEAR  
16 THAT WE THINK THAT PART OF THE CHARGE OF THIS WORKING  
17 GROUP IS TO PROVIDE INPUT TO THE ICOC ON THESE  
18 COMPLICATED ISSUES THAT HAVE TO DO WITH ACCESS AND  
19 DIVERSITY, RECOGNIZING, AS BOB KLEIN POINTED OUT, A LOT  
20 OF OTHER PEOPLE HAVE PERTINENT EXPERTISE ON THIS, BUT  
21 THAT WE SHOULD AT LEAST BE PART OF THAT DISCUSSION AND,  
22 AS PERHAPS JEFF IS SUGGESTING, COMMENT ON ANY PROPOSALS  
23 MADE BY OTHER GROUPS. SO IT'S REALLY SAYING WE WANT TO  
24 BE PART OF THE DISCUSSIONS ON THESE IMPORTANT TOPICS.

25 AT THE OTHER EXTREME PERHAPS SOME

1 CONSIDERATION THAT IN THESE BYLAWS, WHICH ACTUALLY TO  
2 ME ARE PROCEDURAL RULES ABOUT HOW WE'RE GOING TO HOLD  
3 MEETINGS AND THINGS, THAT WE MAKE SOME SORT OF  
4 SUBSTANTIVE COMMENT ABOUT EITHER OUR BELIEF IN  
5 PRINCIPLE THAT ACCESS SHOULD BE EQUITABLE TO ALL THOSE  
6 IN NEED, OR TO EVEN BE MORE SPECIFIC THAN THAT. I'M  
7 JUST QUESTIONING WHETHER, EVEN IF WE BELIEVE THAT PART  
8 OF THE CHARGE OUGHT TO BE TO PROVIDE INPUT ON THESE  
9 ISSUES, THAT THE BYLAWS ARE THE PLACE, SO EITHER MAKE A  
10 STATEMENT OF GENERAL PRINCIPLE OR MORE SPECIFIC THINGS.  
11 I THINK WE MAY WANT TO SEPARATE OUT --

12 DR. PRIETO: PERHAPS UNDER PURPOSE UNDER  
13 ARTICLE 2.

14 CO-CHAIR LO: JUST TO SORT OF SAY THIS IS  
15 PART OF WHAT WE THINK IS OUR DOMAIN OF ADVISING.

16 MR. KLEIN: WELL, I THINK YOU ADDRESSED THE  
17 TOPIC WELL. THE ETHICAL ISSUES OF ACCESS ARE  
18 FUNDAMENTAL. WE SHOULD BE MAKING A STATEMENT. I  
19 IDENTIFY WITH JEFF'S POSITION ON THIS. AND THERE'S A  
20 SEPARATE STATEMENT FROM THE ISSUE OF ACCESS TO  
21 THERAPIES IN TERMS OF DIVERSITY. AS I UNDERSTAND IT,  
22 IN FACT, THERE MAY BE -- THERE ARE EXTRAORDINARY ISSUES  
23 IN HOW THESE THERAPIES ARE DEVELOPED AND WHAT KIND OF  
24 DIVERSITY OF MATERIALS, BIOLOGICAL MATERIALS, WE'RE  
25 WORKING WITH. AND THAT NEEDS TO BE SEPARATELY

1       ADDRESSED.

2                   BUT MAYBE MECHANICALLY THE QUESTION IS HOW DO  
3 WE PROCEED FROM HERE? IT SOUNDS LIKE THERE'S SUPPORT  
4 FOR WHERE THIS POSITION IS. AND MY QUESTION IS DO WE  
5 SPECIFICALLY HAVE A MOTION WHERE WE PASS THESE BYLAWS  
6 WITH A DIRECTION TO MAYBE JEFF AND A COUPLE OF OTHER  
7 MEMBERS OF THIS COMMITTEE TO DRAW UP LANGUAGE TO ADD TO  
8 THE BYLAWS, EITHER UNDER ONE OR MORE SECTIONS, THAT  
9 THEY WILL BRING BACK THAT CAN BE WORKED OUT. AND I  
10 WOULD ASSUME IT'S BOTH THE ACCESS AND THE DIVERSITY  
11 ISSUE.

12                   MR. SHEEHY: THAT SOUNDS LIKE A GREAT  
13 SUGGESTION TO ME.

14                   CO-CHAIR LANSING: I THINK I WOULD SECOND  
15 THAT.

16                   DR. KIESSLING: I JUST WANTED TO REMIND  
17 EVERYBODY, WHOEVER APPLIED FOR NIH GRANTS, IS THAT WE  
18 DISCOVERED MAYBE A DECADE AND A HALF AGO THAT IF YOU  
19 SEPARATE THE FUNDAMENTAL RESEARCH AND YOU DON'T CONDUCT  
20 THE RESEARCH WITH THE POINT IN MIND THAT THIS HAS TO  
21 HAVE BROAD ACCESS AT THE END, I THINK IT IS PART OF  
22 THIS WORKING GROUP'S CHARGE BECAUSE WE'VE LEARNED THE  
23 HARD WAY THAT YOU HAVE TO HAVE THE CONCEPT THAT ALL  
24 PEOPLE ARE GOING TO HAVE ACCESS AND BENEFIT FROM THIS  
25 RESEARCH WHEN THE EXPERIMENTS ARE DESIGNED AND THE

1 STANDARDS TO GO FORWARD WITH THAT, AND TO MAKE -- TO  
2 BRING THAT POINT HOME SO STRONGLY THAT WE ALL THOUGHT  
3 OF IT WHEN WE APPLIED FOR A GRANT. THE NIH NOW HAS YOU  
4 JUSTIFY WHY YOU DON'T INCLUDE ALL STUDY GROUPS IN YOUR  
5 STUDIES.

6 SO IF YOU'RE DOING AN ADULT STUDY, YOU HAVE  
7 TO JUSTIFY WHY CHILDREN AREN'T INCLUDED. SO I THINK  
8 THAT THIS WORKING GROUP ABSOLUTELY HAS TO HAVE, AND IT  
9 MAY JUST BE A TINY PHRASE UNDER PURPOSE, IT HAS TO HAVE  
10 THE CONCEPT THAT IN ORDER TO MAKE SURE YOU ARE GOING TO  
11 HAVE TOTAL ACCESS AT THE END OF THE RESEARCH, IT'S GOT  
12 TO BE PART OF THE THINKING AT EVERY STAGE.

13 CO-CHAIR LO: I'M HEARING A LOT OF SUPPORT  
14 FOR SORT OF THE SPIRIT OF WHAT WE'VE BEEN SAYING. BOB,  
15 I THOUGHT THAT YOU ALMOST MADE A FORMAL MOTION. COULD  
16 I INVITE YOU TO DO SO?

17 MR. KLEIN: I'LL CHANGE MY QUESTION INTO A  
18 MOTION. THE MOTION IS TO APPROVE THE BYLAWS WITH THE  
19 PROVISION THAT WE SPECIFICALLY CHARGE JEFF AND  
20 DR. OLDEN AND ANY OTHER COMMITTEE MEMBERS THAT THE  
21 CHAIRS SO DESIGNATE TO BRING BACK ADDITIONAL  
22 SUPPLEMENTAL LANGUAGE TO INCLUDE WITH RECOMMENDATIONS  
23 ON THE PART OF THE BYLAWS WE INCLUDED AS WELL AS  
24 ADDRESSING BOTH ACCESS AND DIVERSITY SPECIFICALLY. AND  
25 THAT WOULD BE THE MOTION ON THE TABLE.

1 CO-CHAIR LO: MOTION --  
2 DR. HALL: MAYBE FRANCISCO, I WOULD SUGGEST,  
3 MIGHT BE WILLING TO JOIN THAT EFFORT.  
4 DR. PRIETO: SURE.  
5 CO-CHAIR LO: THREE PEOPLE VOLUNTEER. I  
6 ASSUME THOSE WERE VOLUNTEERS. DO I HEAR A SECOND?  
7 CO-CHAIR LANSING: SECOND.  
8 MR. SHEEHY: AND JAMES WILL HELP US, I'M  
9 SURE.  
10 CO-CHAIR LO: AND STAFF. ANY FURTHER  
11 DISCUSSION? ANY PUBLIC COMMENT? I'D LIKE TO JUST SORT  
12 OF FOR THE RECORD TO ASK PUBLIC COMMENTERS TO STATE  
13 THEIR NAME FOR THE RECORD, PLEASE.  
14 MR. REED: DON REED, CALIFORNIANS FOR CURE.  
15 OBVIOUSLY THE EMOTIONS EXPRESSED HERE, A HUNDRED  
16 PERCENT EVERYBODY SHARES THEM. ACCESS AND DIVERSITY  
17 ARE IMPORTANT, BUT I THINK THERE'S A DANGER OF TRYING  
18 TO BE TOO PROSCRIPTIVE. I REMEMBER A WONDERFUL MOVIE,  
19 "EDISON THE MAN." AND EDISON AT ONE POINT TURNED ON  
20 THE LIGHTS OF TWO BLOCKS OF PHILADELPHIA, AND THE  
21 LIGHTS WENT ON; BUT BEFORE THERE COULD BE LIGHTS FOR  
22 ALL OF PHILADELPHIA, THERE HAD TO BE THE LIGHT BULB.  
23 AND I WOULD HATE TO SEE ANYTHING WHICH REQUIRED PEOPLE  
24 TO PROVE THIS IS GOING TO BENEFIT EVERYONE BEFORE THE  
25 RESEARCH GRANTS COULD GO OUT. I DON'T WANT ANYTHING TO

1 STOP THE LIGHT BULB FROM BEING DEVELOPED, EVEN A  
2 GUARANTEE THAT IT HAS TO BENEFIT EVERYBODY FIRST.  
3 SO I THINK IT SHOULD BE A GENERAL CHARGE  
4 RATHER THAN SPECIFIC RECOMMENDATIONS. THAT WAS MY  
5 THOUGHTS.

6 CO-CHAIR LO: THANK YOU. AGAIN, LET ME  
7 STRESS THAT IT'S MY UNDERSTANDING THAT WHAT WE'RE  
8 TALKING ABOUT TODAY ARE JUST THE GROUND RULES FOR  
9 DISCUSSION. WHAT WE'RE SAYING IS WE WANT THESE TOPICS  
10 TO BE PART OF OUR DISCUSSION. WE'RE NOT SAYING  
11 ANYTHING ABOUT SPECIFIC SUBSTANTIVE RECOMMENDATIONS.

12 MR. REYNOLDS: HELLO. GOOD MORNING. MY NAME  
13 IS JESSE REYNOLDS. AND I'VE BEEN CONCERNED SOMEWHAT  
14 THAT OVER RECENT MONTHS I FELT THERE'S BEEN SOMETHING  
15 OF A SHIFT IN THE TONE IN THAT ORIGINALLY DURING THE  
16 PROPOSITION 71 CAMPAIGN, THE ASSERTION WAS TRUST US  
17 WITH YOUR MONEY, AND WE'LL DO OUR BEST TO GET THERAPIES  
18 AND CURES TO YOU, ALL CALIFORNIANS. BUT RECENTLY IT  
19 SEEMS THERE'S BEEN MORE OF AN EMPHASIS ON OUR JOBS TO  
20 DO THE RESEARCH AND FUND THE SCIENCE, AND FROM THERE  
21 IT'S OUT OF OUR HANDS.

22 AND I THINK THE DISCUSSION WE'RE HAVING RIGHT  
23 NOW IS VERY CRITICAL TO THAT DISTINCTION. I CHECKED  
24 HERE IN PROPOSITION 71 UNDER THE STATUTORY CHARGE OF  
25 THIS WORKING GROUP. AND THERE'S A SLIGHT DIFFERENCE.

1 IT SAYS THE RESPONSIBILITIES ARE TO RECOMMEND TO THE  
2 ICOC STANDARDS FOR ALL MEDICAL, SOCIOECONOMIC, AND  
3 FINANCIAL ASPECTS OF CLINICAL TRIALS AND THERAPY  
4 DELIVERY TO PATIENTS. WHEREAS, THE DRAFT OF THE BYLAWS  
5 SAY THERAPY DEVELOPMENT. AND I THINK THERE'S AN  
6 IMPORTANT DISTINCTION BETWEEN THOSE TWO WORDS.

7 OBVIOUSLY THE STATUTORY LANGUAGE HAS  
8 PRECEDENCE OVER THE BYLAWS, BUT I ENCOURAGE YOU TO BOTH  
9 IN YOUR BYLAWS AND IN YOUR INTENTION KEEP IN MIND, AS  
10 DR. KIESSLING SAID, THE BIG PICTURE FROM FRONT TO BACK,  
11 FROM INFORMED CONSENT FOR THE GAMETE DONORS ALL THE WAY  
12 TO ISSUES OF ACCESSIBILITY FOR PATIENTS. THANK YOU.

13 CO-CHAIR LO: THANK YOU. THAT WAS USEFUL.  
14 IF I CAN JUST ASK YOU TO CLARIFY. SO ARE YOU  
15 SUGGESTING THAT IN 3(B), RATHER THAN SAYING THERAPY  
16 DEVELOPMENT, WE SAY THERAPY DELIVERY? WAS THAT THE  
17 TERM?

18 MR. REYNOLDS: IN FACT, I'D ENCOURAGE BOTH  
19 WORDS. I THINK IT PAINTS A BIGGER PICTURE OF THE  
20 PIPELINE FRONT TO BACK.

21 MR. KLEIN: MR. CHAIRMAN, ON MY MOTION, I  
22 WOULD TAKE A FRIENDLY AMENDMENT TO INCLUDE BOTH WORDS.

23 CO-CHAIR LO: SO A FRIENDLY AMENDMENT HAS  
24 BEEN ACCEPTED.

25 MR. KLEIN: DOES THE SECOND ALSO ACCEPT?



1 CO-CHAIR LANSING: YES.

2 CO-CHAIR LO: ANY OTHER DISCUSSION? OKAY.

3 COULD I --

4 MR. HARRISON: COULD I RAISE -- I'M SORRY --

5 JUST ONE POINT THAT THE FRIENDLY AMENDMENT RAISED.

6 THAT IS, IT MAY BE THAT, GIVEN THE WAY THIS WORKING

7 GROUP OPERATES, THAT YOU WANT TO ADOPT ONE MODIFICATION

8 TO ROBERT'S RULES OF ORDER, WHICH WOULD BE TO PERMIT

9 FRIENDLY AMENDMENTS TO BE ACCEPTED WITHOUT HAVING TO

10 TAKE A VOTE OF THE WORKING GROUP.

11 MR. KLEIN: THAT, IN FACT, IS A RULE THAT WAS

12 ADOPTED AT THE BOARD LEVEL THAT IS IN OUR MODIFIED

13 BYLAWS BECAUSE IT JUST EXPEDITES THE PROCESS RATHER

14 THAN HAVING FORMALITY. AND SO I WOULD -- I WILL

15 PROPOSE AS AN AMENDMENT TO MY ACCEPTANCE OF THE BYLAWS

16 THAT WE ADOPT THAT MODIFICATION AS WELL.

17 CO-CHAIR LANSING: DO I NEED TO SECOND THAT?

18 CO-CHAIR LO: THANK YOU. ANY OTHER

19 DISCUSSION? IF NOT, COULD SOMEONE PLEASE CALL THE

20 QUESTION? ALL THOSE IN FAVOR. OPPOSED? THANK YOU.

21 TO RETURN TO OUR AGENDA, OUR NEXT ITEM OF

22 BUSINESS IS NO. 7, CONSIDERATION OF INTERIM CLRM

23 GUIDELINES BASED ON THE NATIONAL ACADEMIES OF SCIENCE'S

24 GUIDELINES FOR HUMAN EMBRYONIC STEM CELL RESEARCH.

25 AND, AGAIN, JUST TO SORT OF GIVE THE FULL CONTEXT HERE,

1 THESE ARE INTERIM GUIDELINES WHICH WE REALLY WANT TO  
2 ISSUE IN A TIMELY MANNER SO THAT WE CAN START THE  
3 270-DAY CLOCK THAT WILL ALLOW FOR BOTH PUBLIC COMMENT  
4 ON THE DRAFT FINAL GUIDELINES AND ADMINISTRATIVE  
5 REVIEW. THE SOONER WE START THAT 270-DAY PROCESS, THE  
6 SOONER THE FINAL GUIDELINES CAN BE ADOPTED FOR GRANTEEES  
7 RECEIVING FUNDING THROUGH CIRM.

8 SO WE CERTAINLY AS A WORKING GROUP, ONCE WE  
9 ISSUE THESE INTERIM GUIDELINES, HAVE A CONSIDERABLE  
10 OPPORTUNITY OF SEVERAL MONTHS TO DRAFT THE DRAFT FINAL  
11 GUIDELINES WHICH WOULD INCLUDE TOPICS THAT ARE NOT  
12 COVERED IN THESE NAS GUIDELINES AS TRANSLATED INTO  
13 REGULATORY LANGUAGE. AND THERE ARE A COUPLE OF THOSE  
14 THAT HAVE ALREADY BEEN MENTIONED TODAY, WORK WITH CELL  
15 LINES DERIVED WITH NON-CIRM FUNDING AND WORK WITH CELL  
16 LINES DERIVED BEFORE THE EFFECTIVE DATE OF THE  
17 GUIDELINES.

18 WE CAN ALSO TO DIVERGE FROM THE NAS  
19 GUIDELINES IF AFTER DELIBERATION WE COME TO A DIFFERENT  
20 SET OF RECOMMENDATIONS. AND FINALLY, IF WE THINK THERE  
21 ARE AREAS OF INCONSISTENCY OR UNCLARITY IN THE  
22 REGULATIONS THAT HAVE BEEN BASED VERY CLOSELY ON THE  
23 NAS GUIDELINES, WE ALSO HAVE AN OPPORTUNITY TO ALTER  
24 IT. SO BY CONSIDERING AND TODAY HOPEFULLY APPROVING  
25 INTERIM GUIDELINES, WE DO NOT, I THINK, IN ANY FASHION

1 PRECLUDE OUR MAKING QUITE SUBSTANTIVE CHANGES IN THE  
2 DRAFT FINAL GUIDELINES.

3 DR. HALL: JUST TO ADD TO THAT, WE ALSO NEED  
4 THEM IN THAT WE EXPECT TO APPROVE AT OUR SEPTEMBER 9TH  
5 ICOC MEETING THE FIRST ROUND OF TRAINING GRANTS. AND  
6 SO ASSUMING THAT WE WILL BE ABLE TO SEND MONEY OUT FOR  
7 THOSE BEFORE TOO LONG, WE WILL NEED THE INTERIM  
8 GUIDELINES FOR ANYTHING THAT MIGHT BE DONE UNDER THOSE  
9 GRANTS. FOR THOSE, I WOULD SAY, TWO REASONS, OUR HOPE  
10 IS THAT WE CAN HAVE A SET OF WORKABLE GUIDELINES THAT  
11 WILL GET US THROUGH THE NEXT NINE MONTHS ESSENTIALLY  
12 WHILE WE FOLLOW THE PROCESS FOR COMING UP WITH THE  
13 FINAL GUIDELINES. AS BERNIE SAYS, DOESN'T MEAN ANY OF  
14 THESE ISSUES ARE CLOSED OR NOT OPEN FOR DISCUSSION.  
15 THEY WILL BE, BUT WE JUST NEED TO HAVE SOMETHING IN THE  
16 INTERIM.

17 WITH THAT AS PREPARATION, MAYBE I'LL JUST SAY  
18 WHAT I STARTED TO SAY BEFORE. AND THAT IS A REMINDER  
19 THAT LAST TIME THE WORKING GROUP CHARGED THE STAFF TO  
20 BOTH PUT THE NATIONAL ACADEMY GUIDELINES INTO  
21 REGULATORY LANGUAGE AND ALSO LANGUAGE THAT WAS  
22 APPROPRIATE FOR CIRM. AND, AGAIN, JAMES, GEOFF LOMAX,  
23 AND KATE SHREVE WORKED TO PRODUCE THE GUIDELINES THAT  
24 YOU HAVE HERE, AND THERE ARE A FEW CHANGES THAT STILL  
25 NEED TO BE MADE. AND GEOFF WILL BASICALLY DESCRIBE

1 THOSE AND DESCRIBE WHAT'S BEEN DONE AND WHAT WE PLAN TO  
2 DO.

3 MR. LOMAX: THANK YOU. AGAIN, THE CHARGE AND  
4 THEN --

5 DR. HALL: THIS IS UNDER TAB 7; IS THAT  
6 CORRECT?

7 MR. LOMAX: THE COMPLETE GUIDELINES ARE IN  
8 THE FOLDER.

9 SO IN TERMS OF THE DRAFTING, ONE OF THE KEY  
10 POINTS WAS THAT THE GUIDELINES SHOULD BE APPROPRIATE  
11 FOR CIRM AND CONSISTENT WITH PROPOSITION 71. SO AT THE  
12 BASIC LEVEL, WE TRIED TO USE LANGUAGE THAT WOULD PUT  
13 CIRM WHERE NECESSARY TO MAKE THAT CLEAR.

14 IN ADDITION, PROPOSITION 71 SET A DAY 12  
15 STANDARD FOR WHEN ONE COULD NOT USE THE CELLS  
16 THEREAFTER. SO TO DRAW YOUR ATTENTION TO SECTION  
17 100004(1), THAT'S WHERE YOU WILL SEE THAT CHANGE. IN  
18 THE PREVIOUS DRAFT, I BELIEVE IT GAVE A TIME PERIOD,  
19 AND WE THOUGHT IT WAS APPROPRIATE TO GIVE AN EXACT DATE  
20 GIVEN THAT THE LAW DIRECTED US TO DO SO.

21 DR. TAYLOR: COULD I JUST MAKE A COMMENT. I  
22 THINK UNDER 100008, THOUGH, YOU STILL HAVE THAT RANGE.  
23 FOR THE PURPOSE OF BEING CONSISTENT, I WOULD SUGGEST  
24 THAT.

25 MR. LOMAX: THANK YOU.

1 CO-CHAIR LO: ARE YOU TALKING ABOUT  
2 100008(E)?

3 DR. TAYLOR: THAT'S CORRECT.

4 MR. LOMAX: THANK YOU. IN ADDITION, I THINK  
5 THIS IS A REVISION THAT WE FELT WAS ESSENTIAL AND IS IN  
6 THE CATEGORY OF A SUBSTANTIVE AMENDMENT OR CHANGE TO  
7 WHAT WAS EXPLICITLY STATED IN THE PREVIOUS GUIDELINES.  
8 WE HAD ADDED THIS LANGUAGE. IT'S THE FINAL SENTENCE TO  
9 SECTION 06(A). AND THE INTENT OF THIS LANGUAGE, I HOPE  
10 IT'S FAIRLY CLEAR, IT'S TO ALLOW FOR A JOINT ESCRO  
11 REVIEW PROCESS SO THAT EACH INSTITUTION ISN'T REQUIRED  
12 TO HAVE IT ITS OWN ESCRO, BUT TO ENABLE THIS  
13 OPPORTUNITY FOR JOINT ESCRO'S. AND SO I HOPE THAT  
14 LANGUAGE IS CLEAR AND ACCEPTABLE.

15 SO AT THE MOMENT WE THEN STAND REQUESTING  
16 APPROVAL OF THE DOCUMENT GIVEN THESE ARE WHAT WE  
17 BELIEVE ARE THE AMENDMENTS THAT WE WANTED THE WORKING  
18 GROUP TO BE AWARE OF IN TERMS OF ANY SUBSTANTIVE CHANGE  
19 TO THE DOCUMENT.

20 CO-CHAIR LO: MAY I ASK A QUESTION OF THE  
21 STAFF IN TERMS OF IF FUNDING IS GIVEN UNDER THESE DRAFT  
22 GUIDELINES, FOR INSTANCE, TO TRAINING GRANTS, AND THEN  
23 SUBSEQUENTLY CHANGES ARE MADE TO THESE GUIDELINES IN  
24 THE DRAFT FINAL GUIDELINES OR THE FINAL GUIDELINES,  
25 WILL THE GRANTEEES THAT RECEIVED FUNDING UNDER THESE

1 INTERIM GUIDELINES BE REQUIRED TO FOLLOW THE FINAL  
2 GUIDELINES OR THE ONES THAT WERE IN PLACE AT THE TIME  
3 THE FUNDING WAS RECEIVED?

4 DR. HALL: SO OUR GRANTS POLICY WILL SAY --  
5 WE ARE IN PARALLEL PREPARING A GRANTS POLICY STATEMENT,  
6 WHICH IS BASICALLY OUTLINING THE TERMS OF THE CONTRACT  
7 BETWEEN US AND ANY GRANTEE INSTITUTION. AND ONE OF THE  
8 THINGS IS THAT THEY WILL BE REQUIRED TO FOLLOW OUR  
9 GUIDELINES FOR RESEARCH, AND WE WILL HAVE THEM FOLLOW  
10 THE INTERIM GUIDELINES UNTIL THE FINAL GUIDELINES ARE  
11 COMPLETED.

12 MR. LOMAX: ONE OTHER --

13 DR. HALL: BY THE WAY, JUST LET ME SAY THAT  
14 IT'S NOT AT ALL UNCOMMON FOR THE TERMS OF THAT, AS WE  
15 GO ALONG, AS SHERRY HAD SAID EARLIER, THIS IS SORT OF A  
16 LIVING DOCUMENT, AND IF TWO YEARS DOWN THE LINE, WE  
17 THINK WE NEED TO CHANGE THAT, WE'LL SIMPLY SEND OUT A  
18 NOTICE TO ALL THE GRANTEES SAYING THAT AS OF A CERTAIN  
19 DATE, THAT POLICY WAS CHANGED AND YOU'LL BE EXPECTED TO  
20 FOLLOW THAT POLICY AFTER THAT DATE. SO THERE'S NOTHING  
21 UNUSUAL IN THAT.

22 MR. LOMAX: THERE WERE AN ADDITIONAL SET OF  
23 ITEMS I DID WANT TO DRAW YOUR ATTENTION TO THAT CAME UP  
24 IN DISCUSSIONS THIS MORNING. IF I CAN TURN THE FLOOR  
25 OVER TO JAMES HARRISON FOR A MOMENT, HE CAN DRAW YOUR

1 ATTENTION TO THOSE ITEMS.

2 MR. KLEIN: BEFORE JAMES DISCUSSES THOSE,  
3 ZACH, JUST SO THERE'S CLARITY, IF I COULD ASK YOU.  
4 WOULD IT BE YOUR UNDERSTANDING THAT IF SOMEONE HAD DONE  
5 WORK UNDER THE INTERIM GUIDELINES, IF THERE'S A CHANGE,  
6 THEY WOULDN'T BE REQUIRED TO REDO THE WORK? THEY WOULD  
7 BE ALLOWED TO RELY ON THE PRIOR INTERIM GUIDELINES FOR  
8 THE WHOLE COURSE OF WHATEVER THAT RESEARCH WAS EVEN IF  
9 THAT WAS A TWO-YEAR PROJECT.

10 DR. HALL: SURE. THAT IS RIGHT. IF YOU  
11 DERIVE LINES, LET'S SAY, UNDER INTERIM GUIDELINES AND  
12 WE CHANGE THE RULES, WE'RE NOT GOING TO THROW OUT THE  
13 LINES.

14 CO-CHAIR LANSING: WE WOULD BE STARTING AT  
15 THAT POINT.

16 DR. HALL: ANYTHING DONE AFTER THAT POINT,  
17 BOB MAKES THE POINT THAT, PARTICULARLY IN THE CASE OF  
18 LINES, IF THEY'RE DERIVED UNDER ONE SET OF RULES AND  
19 YOU CHANGE THE RULES, THEN YOU HAVE TO GRANDFATHER  
20 THEM. I THINK WE WOULD CERTAINLY DO THAT.

21 MR. HARRISON: IN ADDITION TO THE  
22 CLARIFICATION THAT DR. TAYLOR MADE, THERE WERE TWO  
23 ADDITIONAL ITEMS THAT WERE BROUGHT TO OUR ATTENTION  
24 THIS MORNING, AND WE'D LIKE TO PROPOSE CHANGES TO DEAL  
25 WITH THEM. I'LL JUST REFER TO THE LAST TWO NUMBERS OF

1 THE SECTION.

2 IN SECTION 00(A), IT CURRENTLY READS THE  
3 CHAPTER COVERS ALL RESEARCH FUNDED BY THE CALIFORNIA  
4 INSTITUTE FOR REGENERATIVE MEDICINE THAT INVOLVES THE  
5 DERIVATION OF HUMAN EMBRYONIC CELL LINES, AND IT  
6 CURRENTLY SAYS "AND." WE'D LIKE TO CHANGE THAT TO "OR"  
7 THE USE OF HES CELL LINES DERIVED FROM.

8 THE OTHER CHANGE IS IN THE SAME SECTION,  
9 SUBPARAGRAPH (B)(3). WE WOULD PROPOSE TO DELETE THAT  
10 LANGUAGE BECAUSE IT'S UNNECESSARY AND LIKE THE LANGUAGE  
11 IN SECTION 02, WHICH ADDRESSES THE ISSUE OF PREVIOUSLY  
12 DERIVED CELL LINES.

13 CO-CHAIR LO: I'M SORRY. I GOT LOST, JAMES.  
14 CAN YOU POINT US AGAIN TO WHERE THESE CHANGES ARE?

15 MR. HARRISON: SURE. THIS IS IN THE VERY  
16 FIRST SECTION, SECTION 100000, SUBDIVISION A. WE WOULD  
17 PROPOSE TO DELETE THE WORD "AND" IN THE FIRST SENTENCE  
18 AND REPLACE IT WITH "OR." AND THEN IN SUBPARAGRAPH  
19 (B)(3), WE WOULD DELETE SUBPARAGRAPH 3 IN ITS ENTIRETY  
20 BECAUSE THE LANGUAGE IS UNNECESSARY IN LIGHT OF THE  
21 SECTION 100002, WHICH ADDRESSES THE ISSUE OF PREVIOUSLY  
22 DERIVED CELL LINES.

23 CO-CHAIR LO: OKAY. GOOD. THANK YOU. OTHER  
24 COMMENTS, QUESTIONS, CONCERNS ABOUT THESE INTERIM  
25 GUIDELINES?



1 DR. KIESSLING: I'D LIKE TO ASK IF WE NEED TO  
2 INCLUDE SOMETHING SPECIFIC ABOUT PARTHENOTES. THIS  
3 LANGUAGE PRETTY MUCH IS EITHER EGGS THAT ARE FERTILIZED  
4 OR EGGS THAT RESULT IN NUCLEAR TRANSPLANT. I KNOW THAT  
5 THERE'S SOME WORK GOING ON -- WELL, IN THE EARLY SCOPE  
6 OF THE CHAPTER.

7 DR. HALL: I DIDN'T QUITE HEAR. ANN, COULD  
8 YOU --

9 DR. KIESSLING: I'M SORRY. THE DESCRIPTION  
10 OF THE HUMAN EMBRYONIC STEM CELL LINES THAT THIS COVERS  
11 IS PRETTY SPECIFIC IN THE FIRST SECTION.

12 I ALSO HAVE A TRIVIAL QUESTION. WHY ARE  
13 THERE SO MANY ZEROS?

14 MR. HARRISON: I CAN ANSWER THE SECOND  
15 QUESTION. IT'S JUST A PRODUCT OF THE SECTION OF THE  
16 CALIFORNIA ADMINISTRATIVE CODE THAT WAS PROVIDED TO US.

17 DR. KIESSLING: THEY GAVE US THE ZEROS.

18 MR. HARRISON: THEY GAVE US THE ZEROS. WE'VE  
19 INHERITED THEM. TELLS YOU HOW MANY REGULATIONS THERE  
20 ARE IN CALIFORNIA.

21 DR. KIESSLING: I'M JUST WONDERING IF THIS  
22 COMMITTEE FEELS OR IF ANYBODY BESIDES MYSELF THINKS IT  
23 WOULD BE APPROPRIATE TO ADD A 00000 SUB A, SCOPE OF  
24 CHAPTER ON NO. 4 THAT TALKS ABOUT BLASTOCYSTS DERIVED  
25 FROM PARTHENOGENICALLY ACTIVATED EGGS BECAUSE ALL THESE

1 GUIDELINES SHOULD APPLY THAT. OTHERWISE IT'S JUST OUT  
2 THERE SORT OF IN LIMBO.

3 DR. HALL: IT IS -- I THINK WE CAN EXPECT,  
4 AND CERTAINLY RECENT EVENTS MAKE THAT CLEAR, THAT IT  
5 WILL BE POSSIBLE TO DERIVE HUMAN EMBRYONIC STEM CELL  
6 LINES IN WAYS OTHER THAN THOSE THAT ARE OUTLINED HERE.  
7 AND YOU MENTIONED ONE OF THOSE. AND I WAS WONDERING  
8 EVEN IF WE COULD COME UP WITH LANGUAGE THAT WOULD LEAVE  
9 IT OPEN.

10 DR. KIESSLING: THAT'S THE OTHER EGG-SPECIFIC  
11 USE. THE OTHER EGG-SPECIFIC DERIVATION. THESE ARE ALL  
12 COVERING THINGS THAT COME FROM EGGS.

13 DR. HALL: RIGHT.

14 DR. KIESSLING: KEVIN'S NEW WORK MAY NOT NEED  
15 EGGS.

16 DR. EGGAN: THERE'S NO REASON WHY THAT WORK  
17 COULDN'T BE COVERED TOO.

18 DR. HALL: YES. MY QUESTION IS COULD WE COME  
19 UP WITH A GENERAL --

20 CO-CHAIR LO: SORT OF ALL OTHER STEM CELL  
21 RESEARCH NOT EXPLICITLY MENTIONED ABOVE.

22 DR. HALL: YEAH. THIS SAYS IT'S LINES THAT  
23 ARE DERIVED IN A CERTAIN WAY; WHEREAS, IN ACTUAL FACT,  
24 IT DOESN'T MATTER. WE HAVE A HUMAN EMBRYONIC STEM CELL  
25 LINE AND WE HAVE RULES ABOUT HOW IT CAN BE USED; FOR

1 EXAMPLE, INJECTING INTO OTHER ANIMALS OR THINGS LIKE  
2 THAT. THAT SHOULD APPLY NO MATTER HOW THAT LINE WAS  
3 DERIVED EVEN IF IT WERE DERIVED BY KEVIN'S PROCEDURE,  
4 LET'S SAY. THEN I WOULD SUGGEST THAT THAT OUGHT TO  
5 APPLY IF IT'S A HUMAN EMBRYONIC STEM CELL LINE.

6 AND SO THE QUESTION IS CAN WE THINK OF A TERM  
7 THAT WOULD -- THIS, AS WRITTEN, SAYS IF YOU HAVE LINES  
8 THAT ARE DERIVED IN SOME OTHER WAY, THEY FALL OUTSIDE  
9 THE GUIDELINES; WHEREAS, MAYBE EVEN A DIFFERENT KIND OF  
10 SPECIFICATION OF WHAT THE LINES ARE.

11 DR. CIBELLI: IS THERE ANYBODY HERE THAT WAS  
12 AT THE NATIONAL ACADEMIES WHEN THIS WAS? SO WHEN THEY  
13 TALK ABOUT THESE GUIDELINES, DO YOU HAVE A SCOPE THAT  
14 ACTUALLY NARROWED THIS --

15 MS. CHARO: YES. HUMAN EMBRYONIC STEM CELLS  
16 ONLY, AND IT ONLY DEALT WITH BLASTOCYSTS FROM  
17 FERTILIZATION OR NUCLEAR TRANSFER. I DON'T EVEN  
18 REMEMBER IF WE DEALT BECAUSE AT THE TIME NOBODY WAS  
19 SAYING THAT THAT WAS REALLY TECHNICALLY FEASIBLE.

20 DR. CIBELLI: BUT IF I REMEMBER CORRECTLY,  
21 KEVIN CALLED TO INFORM THE PANEL OF THE WORK THAT HE  
22 WAS DOING; IS THAT CORRECT? WERE YOU PART OF THIS?

23 DR. EGGAN: I WAS THERE, BUT WE HADN'T MADE  
24 MUCH PROGRESS IN THAT WORK. IT FOCUSED MORE ON THE  
25 NEED FOR DISEASE-SPECIFIC EMBRYONIC STEM CELLS.

1 MS. CHARO: THERE WAS ALSO A VERY DELIBERATE  
2 DECISION TO NARROW THE SCOPE TO THE STUFF THAT REALLY  
3 WAS THE BULK OF THE RESEARCH IN THE COUNTRY TO GET THIS  
4 PROCESS GOING. OTHERWISE IT WAS GOING TO DRAG ON. SO  
5 THERE ARE DEFINITELY OMISSIONS IN THE NAS GUIDELINES IN  
6 TERMS OF SCOPE OF WORK, NO QUESTION.

7 DR. HALL: THE QUESTION IS SO CAN WE THINK  
8 OF -- IT IS PROBABLY A GOOD PLACE -- FOR A OO(A), CAN  
9 WE COME UP WITH LANGUAGE THAT WOULD GIVE US THE  
10 COVERAGE WE WANT?

11 DR. KIESSLING: I THINK IT'S IMPORTANT IN  
12 TERMS OF JUST WORD DEFINITION AND WORD USE THAT WE  
13 REALLY MAKE IT VERY CLEAR WHEN EGGS ARE INVOLVED  
14 BECAUSE THAT IS A HUGE ISSUE TO A LARGE PERCENTAGE OF  
15 THE POPULATION IN OUR COUNTRY VERSUS OTHER KINDS OF  
16 TECHNOLOGIES. AND I THINK THE WORK THAT KEVIN HAS DONE  
17 IN USING NUCLEAR REMODELING WITHOUT USING EGGS SHOULD  
18 NOT BE LUMPED INTO THESE CATEGORIES THAT ARE  
19 SPECIFICALLY USING HUMAN EGGS FOR THEIR WORK. AND IT  
20 COMES DOWN TO THE DEFINITION OF EMBRYONIC.

21 DR. HALL: LET ME JUST SAY THAT IF YOU -- FOR  
22 EXAMPLE, THE RULES REGARDING PUTTING SUCH LINES NO  
23 MATTER HOW DERIVED INTO OTHER ORGANISMS, SO PRESUMABLY  
24 THE PROHIBITIONS FOR HUMAN EMBRYONIC STEM CELL LINES  
25 DERIVED FROM OOCYTES AND THOSE DERIVED IN OTHER WAYS

1 WOULD APPLY IN THOSE CIRCUMSTANCES.

2 DR. KIESSLING: YOU ARE GOING TO GET --

3 DR. HALL: SO THE EGG DONOR ISSUES ARE GONE  
4 BECAUSE YOU DON'T NEED THEM, BUT THE OTHER PROHIBITIONS  
5 PERHAPS, I WOULD SUGGEST, SHOULD BE THERE AND SHOULD  
6 FALL UNDER THIS.

7 DR. KIESSLING: BECAUSE WE MADE A DISTINCTION  
8 IN OUR WORKING GROUP BETWEEN ADULT AND FETAL LINES AND  
9 THESE, QUOTE, EMBRYONIC STEM CELL LINES. YOU HAVE THE  
10 SAME CONCERNS ABOUT PUTTING ADULT STEM CELLS INTO  
11 BLASTOCYSTS (INTERRUPTION IN PROCEEDINGS). I THINK  
12 THAT'S A SEPARATE CONSIDERATION FROM WHAT YOU WANT THIS  
13 PARTICULAR GUIDELINES TO COVER.

14 CO-CHAIR LO: WE HAVE A NUMBER OF PEOPLE THAT  
15 WANT TO JOIN IN HERE, SO LET ME TAKE JAMES WILLERSON  
16 AND THEN ALTA CHARO.

17 DR. WILLERSON: THANK YOU. WHY CAN'T WE JUST  
18 ADD THE PHRASE "OR FROM ANY OTHER SOURCE" TO THAT  
19 SENTENCE? IT DOES APPEAR -- PEOPLE ARE CLAIMING  
20 GENERATION OF EMBRYONIC CELLS FROM OTHER SOURCES.  
21 WHETHER RIGHT OR WRONG, IT ISN'T CLEAR. BUT YOU COULD  
22 JUST ADD OR FROM ANY OTHER SOURCE. IT WOULD READ  
23 100008(A), SECOND LINE, HES CELL LINES FROM DONATED  
24 EMBRYOS OR BLASTOCYSTS OR FROM ANY OTHER SOURCE SHALL  
25 INCLUDE.

1 MR. KLEIN: I BELIEVE THAT DR. WILLERSON'S  
2 APPROACH IS APPROPRIATE AND BECAUSE IN ORDER TO FUND  
3 THE RESEARCH LIKE KEVIN'S, WE NEED TO BE ABLE TO HAVE  
4 STANDARDS IN PLACE. SO AS WE GO THROUGH SECTIONS, WE  
5 CAN CARVE OUT WHEN IT SHOULDN'T APPLY TO THAT RESEARCH.  
6 BUT WE'LL NEED TO HAVE IT COVER ALL SOURCES IN ORDER TO  
7 FUND ALL SOURCES.

8 DR. KIESSLING: I UNDERSTAND THAT.

9 MR. KLEIN: SO I WOULD LIKE TO SECOND  
10 DR. WILLERSON'S PROPOSAL OF ADDING THAT LANGUAGE.

11 DR. EGGAN: ACTUALLY COULD YOU CLARIFY WHERE  
12 THAT CHANGED LANGUAGE IS GOING TO BE BECAUSE I HAD  
13 PROPOSED THAT IT BE LISTED AS SECTION OO(A), PARAGRAPH  
14 4, OR ANY OTHER SOURCE.

15 MR. HARRISON: I THINK YOU COULD ACTUALLY PUT  
16 IT IN TWO PLACES, BOTH WHERE DR. WILLERSON SUGGESTED IN  
17 SECTION 100008(A), AND THEN ALSO ADD IT IN THE GENERAL  
18 LANGUAGE IN THE FIRST SECTION THAT DEFINES THE SCOPE OF  
19 THE CHAPTER.

20 DR. HALL: YES. GOOD.

21 DR. PRIETO: QUESTION. WHERE WOULD YOU PUT  
22 IT THERE? AS A SEPARATE ITEM AT THE END OF 000?

23 MR. HARRISON: I WOULD SUGGEST THAT YOU ADD  
24 IT AS A NEW SUBPARAGRAPH 4 IN SUBDIVISION A, AND YOU  
25 COULD USE THE LANGUAGE "ANY OTHER PROCEDURE."

1 MR. KLEIN: I THINK IT WAS ANY OTHER SOURCE.  
2 MR. HARRISON: RIGHT. THAT WAS THE LANGUAGE  
3 THAT, I THINK, WORKS FOR 1008. I'M NOT SURE THAT --  
4 YES. ANY OTHER SOURCE IS FINE.  
5 DR. HALL: ANY OTHER SOURCE. DOES THAT WORK,  
6 KEVIN, FOR YOU?  
7 DR. EGGAN: FROM ANY OTHER SOURCE OR BY ANY  
8 OTHER PROCEDURE. FROM ANY OTHER SOURCE IS FINE.  
9 MR. KLEIN: JAMES, IT'S ANY OTHER SOURCE OR  
10 ANY OTHER PROCEDURE.  
11 MR. HARRISON: SURE.  
12 DR. HALL: I THINK YOU JUST SAY ANY OTHER  
13 SOURCE OR BY ANY OTHER PROCEDURE. EXCELLENT.  
14 CO-CHAIR LO: ANY OTHER COMMENTS ON THIS?  
15 MS. CHARO: IT'S ON OTHER PARTS OF THE DRAFT.  
16 CO-CHAIR LO: WHY DON'T WE DO THIS ONE FIRST.  
17 SO I JUST WANT TO MAKE SURE. THERE IS A MOTION THAT I  
18 THINK HAS BEEN SECONDED TO IN TWO PLACES INSERT  
19 LANGUAGE TO INCLUDE STEM CELLS DERIVED FROM OTHER  
20 SOURCES OR BY OTHER PROCEDURES.  
21 SO I GUESS, FIRST, IS THERE ANY MORE  
22 DISCUSSION ON THAT TOPIC FROM THE WORKING GROUP?  
23 DR. PRIETO: I'M JUST NOT CLEAR WHERE THAT  
24 WOULD GO UNDER SECTION 100008.  
25 DR. HALL: KEVIN, YOUR NEIGHBOR THERE, MADE

1 THE SUGGESTION, SO MAYBE HE COULD --

2 DR. EGGAN: I DIDN'T MAKE THE SUGGESTION FOR  
3 100008.

4 DR. HALL: NO. YOU WERE CONCERNED ABOUT (A).

5 CO-CHAIR LO: LET'S ASK JAMES SO WALK US  
6 THROUGH SO WE'RE ABSOLUTELY SURE. IS IT POSSIBLE TO  
7 FLIP UP ON THE OVERHEAD THE FIRST SECTION, 100000(A),  
8 AND THEN WE CAN JUST SEE EXACTLY WHERE IT GOES.

9 DR. HALL: GEOFF, DO YOU HAVE IT ON YOUR --  
10 SOMEBODY HAVE IT ON THEIR COMPUTER?

11 CO-CHAIR LO: IF NOT, MY UNDERSTANDING IS  
12 THAT YOU TAKE THE FIRST PAGE OF THESE DRAFT GUIDELINES,  
13 IT WOULD GO RIGHT HERE AS THE FOURTH ITEM AFTER (3),  
14 RIGHT HERE (INDICATING).

15 DR. KIESSLING: WHAT WILL IT READ?

16 MR. HARRISON: IT WILL READ "ANY OTHER SOURCE  
17 OR BY ANY OTHER PROCEDURE."

18 DR. ROWLEY: WHERE IS THAT GOING NOW ON THE  
19 GUIDELINES?

20 MR. HARRISON: THAT WILL BE IN ADDITION TO  
21 THE SCOPE OF CHAPTER SECTION, WHICH IS SECTION  
22 100000(A), AND IT WILL BE A NEW SUBPARAGRAPH 4.

23 DR. ROWLEY: OKAY. AFTER SOMATIC CELL  
24 NUCLEAR TRANSFER?

25 MR. HARRISON: CORRECT. AND THEN THE OTHER



1 PROPOSAL IS TO MAKE THE CORRESPONDING CHANGE IN SECTION  
2 100008(A), WHICH CURRENTLY READS "REQUESTS TO THE ESCRO  
3 COMMITTEE FOR PERMISSION TO ATTEMPT DERIVATION OF NEW  
4 HES CELL LINES FROM DONATED EMBRYOS OR BLASTOCYSTS OR  
5 FROM ANY OTHER SOURCE OR BY ANY OTHER PROCEDURE."

6 DR. ROWLEY: OKAY. THAT WAS SOMETHING THAT,  
7 I GUESS, ALTA THOUGHT SHOULD BE DELETED.

8 CO-CHAIR LO: I'M SORRY. I DIDN'T QUITE HEAR  
9 YOU, JANET, BECAUSE OF THE CONNECTION. COULD YOU  
10 REPEAT THAT?

11 DR. ROWLEY: I THOUGHT THAT IN THE SUGGESTED  
12 CORRECTIONS THAT ALTA E-MAILED THAT THAT WAS GOING TO  
13 BE DELETED.

14 MS. CHARO: JANET, ONE OF THE THINGS THAT'S  
15 GONE ON IS THAT WE HAVEN'T DISCUSSED WHETHER OR NOT WE  
16 WANT TO MAKE ANY OF THOSE CHANGES. AND GIVEN THE TIME  
17 LINE, IT MAY TURN OUT THAT WE WANT TO SAVE DISCUSSION  
18 OF SOME OF THOSE MORE EXTENSIVE CHANGES UNTIL WE'RE  
19 PAST ADOPTION OF INTERIM GUIDELINES AND INTO REVISION  
20 TOWARD PERMANENT.

21 DR. ROWLEY: OKAY.

22 MS. CHARO: AS A RESULT, A LOT OF WHAT WAS  
23 DISTRIBUTED LAST NIGHT BY ME AS SUGGESTED AREAS FOR  
24 DISCUSSION MAY BE PREMATURE. THERE ARE STILL A FEW  
25 OTHER ITEMS I HAVE ON MY LIST.

1 DR. ROWLEY: THAT CLARIFIES IT. THANK YOU.  
2 CO-CHAIR LO: ANY OTHER QUESTIONS ABOUT THESE  
3 TWO PROPOSED CHANGES? PUBLIC COMMENTS?  
4 I'M GOING TO CALL THE QUESTION.  
5 MS. CHARO: YOU'RE CALLING THE QUESTION JUST  
6 ON THOSE CHANGES?  
7 CO-CHAIR LO: JUST ON THOSE TWO. I WANT TO  
8 DO THIS INCREMENTALLY.  
9 MR. KLEIN: I'LL CALL THE QUESTION  
10 PROCEDURALLY.  
11 CO-CHAIR LO: ALL THOSE IN FAVOR OF THOSE TWO  
12 CHANGES THAT JAMES JUST WENT OVER IN TERMS OF THE SCOPE  
13 OF OUR WORK. OPPOSED? SO IT'S UNANIMOUS.  
14 OTHER ISSUES, QUESTIONS, CONCERNS,  
15 SUGGESTIONS?  
16 MS. CHARO: I WARN YOU I'VE GOT A LIST, BUT  
17 THEY'RE REALLY KIND OF LITTLE. ON THE SECTION 100002,  
18 WHICH -- NO. IT'S 10000 AND THEN A TWO. RIGHT.  
19 ANYWAY, IT'S THE ONE ABOUT RESEARCH PERMISSIBLE AFTER  
20 CURRENTLY MANDATED REVIEWS. I COMPLETELY APPRECIATE  
21 THAT THIS IS INTENDED TO SIGNAL THAT WE ARE  
22 GRANDFATHERING THE NIH LINES, BUT I'D LIKE TO OPEN UP  
23 FOR DISCUSSION WHETHER OR NOT WE MIGHT WANT TO SAY IT A  
24 LITTLE BIT MORE EXPLICITLY. REMEMBER THAT THIS WILL BE  
25 READ TODAY, TOMORROW, FOUR MONTHS FROM NOW, FIVE MONTHS

1 FROM NOW. SO FIVE MONTHS FROM NOW IT'S READ, AND THREE  
2 MONTHS FROM NOW A NEW LINE WAS DERIVED. SO IF SOMEBODY  
3 READS IT AND THEY'RE TALKING ABOUT A PREVIOUSLY DERIVED  
4 LINE, WHICH IS ONLY AT THAT POINT TWO MONTHS OLD, I'D  
5 LIKE PEOPLE TO JUST KNOW RIGHT OFF THE BAT THAT  
6 NIH-AUTHORIZED LINES ALREADY HAVE RECEIVED THEIR IRB  
7 REVIEW, THAT THERE IS ALREADY DOCUMENTATION OF THE  
8 INFORMED CONSENT, THAT A RESEARCHER DOESN'T NEED TO  
9 RECREATE ALL THAT DOCUMENTATION.

10 YES, THEY STILL HAVE TO FOLLOW THE CIRM  
11 GUIDELINES FOR WHAT THEY DO WITH THE NIH LINES WHEN IT  
12 COMES TO IN VITRO EXPERIMENTS, BUT WOULDN'T IT MAKE  
13 SENSE JUST TO SAY THAT THE DOCUMENTATION FOR THE NIH  
14 LINES IS PRESUMED? I MEAN THEY'VE ALREADY PRECLEARED  
15 THEM, THEY'VE GONE THROUGH THE IRB REVIEWS, ETC. ONLY  
16 BECAUSE I KNOW THE GRANDFATHERING THING HAS DOGGED THE  
17 COMMUNITY, AND PEOPLE ARE INCREDIBLY NERVOUS THAT  
18 THEY'RE SUDDENLY NOT ALLOWED TO USE THOSE LINES.

19 DR. HALL: I THINK IT'S NOT ONLY THE  
20 NIH-DERIVED LINES. I THINK THOSE ARE PROBABLY NOT, AS  
21 A PRACTICAL MATTER, AND PLEASE CORRECT ME IF I'M WRONG,  
22 THE REAL ISSUE, BUT THAT OTHERS MAY BECOME AVAILABLE IN  
23 THE NEXT X MONTHS.

24 MS. CHARO: I WASN'T SUGGESTING DELETING THIS  
25 SECTION. I WAS SUGGESTING ADDING SOMETHING VERY

1 SPECIFIC. PERSONALLY I THINK THE HFEA LINES ALSO WOULD  
2 BE.

3 DR. HALL: WHAT WOULD YOU ADD?

4 MS. CHARO: THE NIH AND THE HFEA. I CAN  
5 UNDERSTAND SOMEBODY WHO WANTS TO USE DOUG MELTON'S  
6 LINES AT UCSF NEEDING TO GET SOME DOCUMENTATION FROM  
7 HARVARD THAT IT WENT THROUGH AN IRB REVIEW BECAUSE ALL  
8 WE KNOW IS FROM PRESS REPORTS THAT IT WENT THROUGH AN  
9 IRB REVIEW. BUT WITH HFEA AND NIH, IT WOULD JUST SEEM  
10 LIKE THOSE LINES WE KNOW, AND WE SHOULDN'T BE MAKING  
11 INVESTIGATORS HAVE TO RECREATE A DOSSIER THAT DOCUMENTS  
12 ALL OF THE PRIOR REVIEWS AND THE INFORMED CONSENT  
13 DOCUMENTS THAT WERE USED BY THE INDIVIDUALS BECAUSE WE  
14 KNOW HFEA AND NIH LINES, YOU COULDN'T PAY FOR THE  
15 MATERIALS, YOU HAD TO GET CONSENT.

16 DR. EGGAN: PERHAPS WE SHOULD OPEN THAT UP TO  
17 A NUMBER OF OTHER.

18 DR. ROWLEY: THIS IS JANET ROWLEY, AND I'D  
19 LIKE TO WEIGH IN AT SOME POINT.

20 CO-CHAIR LO: DO YOU WANT TO DO IT NOW,  
21 JANET, BECAUSE I KNOW IT'S HARD SOMETIMES ON THE PHONE  
22 TO GET RECOGNIZED?

23 DR. ROWLEY: WELL, I THINK IT'S IMPORTANT TO  
24 CLARIFY THAT NIH HASN'T DERIVED ANY CELL LINES. THEY  
25 ALL CAME FROM OTHER INSTITUTIONS. SO THEY'RE NIH

1 APPROVED, BUT WE HAVE TO MAKE SURE THAT WE DON'T -- IN  
2 THE DERIVED WE DON'T SOMEHOW LINK NIH WITH THE  
3 DERIVATION OF THOSE LINES.

4 CO-CHAIR LO: GOOD POINT.

5 DR. KIESSLING: I WOULD JUST LIKE TO ASK ALTA  
6 WHAT DO YOU WANT US TO ADD? SPECIFICALLY WHAT SHOULD  
7 WE SAY?

8 MS. CHARO: UNDER THIS PARTICULAR SECTION, IF  
9 YOU JUST ADDED -- IF THE ONE THAT'S THERE WAS NOW  
10 LABELED A AND THEN YOU ADDED A "B" SAYING LINES  
11 APPROVED FOR NIH FUNDING OR HFEA FUNDING WILL BE  
12 ACCEPTED AS HAVING ALREADY COMPLIED WITH DOCUMENTATION  
13 REQUIREMENTS CONCERNING COMPLIANCE WITH INFORMED  
14 CONSENT AND INSTITUTIONAL REVIEW BOARD OVERSIGHT.

15 DR. EGGAN: I'D MODIFY THAT IN ONE WAY TO SAY  
16 "OR DEPOSITED IN ONE OF THE FOLLOWING ACCEPTED TISSUE  
17 BANKS INCLUDING, FOR INSTANCE, THE UK STEM CELL BANK OR  
18 OTHER BANKS WHICH THIS BODY CAN AGREE PASSED THOSE  
19 LITMUS TESTS."

20 MS. CHARO: THAT BEGINS TO ANTICIPATE THE  
21 WORK OF THE INTERNATIONAL INTERSTATE COLLABORATIONS  
22 WORKING GROUP, WHICH IS STRUGGLING TO FIGURE OUT HOW WE  
23 WOULD CAPTURE THAT UNIVERSE. THE EASY ONES ARE HFEA,  
24 BECAUSE THEY USE LICENSING, AND THE NIH BECAUSE THEY  
25 PUBLISHED WHAT IT IS THEY WILL OR WILL NOT AUTHORIZE

1 FOR FUNDED RESEARCH.

2 MR. KLEIN: SO DOES THAT MEAN, ALTA, YOU'RE  
3 ACCEPTING KEVIN'S ADDITIONAL LANGUAGE?

4 MS. CHARO: IF YOU'RE TALKING ABOUT THE UK  
5 STEM CELLS, SURE. I DON'T KNOW. WITH OTHERS I THINK  
6 WE'RE GOING TO HAVE TO WAIT UNTIL WE CAN FIGURE OUT HOW  
7 WE WANT TO -- WHAT CRITERIA WE WANT TO APPLY.

8 DR. EGGAN: I STRONGLY AGREE.

9 DR. HALL: EXACTLY. I THINK THAT -- I DON'T  
10 KNOW WHAT THE PRACTICE WILL BE ABOUT CELL LINES  
11 DEPOSITED IN THE UK BANK AND WHETHER THERE WILL BE  
12 DUPLICATE DEPOSITS OR NOT. I WAS WONDERING IF WE COULD  
13 USE THAT ONE, WHICH IS VERY WELL ESTABLISHED AND WE CAN  
14 ALL AGREE ON AS A STANDARD. I THINK BEYOND THAT, IT  
15 GETS -- WE ALMOST HAVE TO CONSIDER THEM ONE BY ONE.  
16 AND IT WILL BE TIME-CONSUMING, AND I WONDERED IF WE  
17 COULD USE THE UK STEM CELL BANK. I SUPPOSE THE OTHER  
18 POSSIBILITY WOULD BE TO SAY OR ONE WITH EQUIVALENT  
19 STANDARDS.

20 MR. KLEIN: THAT WOULD BE SEEM TO BE --  
21 BECAUSE ONCE YOU SET THE BENCHMARK, IF THEY'RE  
22 EQUIVALENT STANDARDS, THAT WOULD SAVE A LOT OF  
23 UNNECESSARY DOCUMENTATION.

24 DR. EGGAN: I'M CONTENT WITH THAT.

25 DR. HALL: IS THAT A REASONABLE?

1 CO-CHAIR LO: LET ME RAISE ONE POINT BECAUSE  
2 WE WENT THROUGH THIS AT UCSF WHEN AN INVESTIGATOR  
3 WANTED TO WORK WITH AN NIH-APPROVED STEM CELL LINE. IT  
4 TURNS OUT IF YOU GO TO THE NIH WEBSITE, THERE ARE  
5 QUESTIONS ABOUT -- IT'S NOT CLEAR -- AT LEAST ONE OF  
6 THOSE LINES WAS DERIVED WITH DONOR GAMETES, AND IT'S  
7 NOT CLEAR FROM THE WEBSITE WHAT THE LEVEL OF CONSENT  
8 WAS FROM THE GAMETE DONOR AS OPPOSED TO THE WOMAN OR  
9 COUPLE AT THE IVF ACTUALLY DONATED THE EMBRYO. SO  
10 THERE IS THAT AMBIGUITY. AND WE HAVE BEEN UNABLE AT  
11 NIH TO CLARIFY THAT.

12 NOW, I THINK ON THE ONE HAND THERE'S A  
13 PRINCIPLE OF GRANDFATHERING IN THINGS THAT HAVE BEEN  
14 APPROVED THAT WERE WELL CHARACTERIZED AND WORKED WITH;  
15 AND ON THE OTHER HAND, THERE'S A QUESTION OF WHAT  
16 STANDARDS WERE IN PLACE AT THE TIME THEY WERE DERIVED.  
17 I THINK JUST AS A PIECE OF INFORMATION TO PUT IN AND  
18 PERHAPS A WARNING AS WE SORT OF INCLUDE APPROVAL FOR  
19 GUIDELINES THAT HAVE BEEN DEEMED APPROPRIATE BY OTHER  
20 BODIES SO WE UNDERSTAND WHAT KIND OF PROCESS THEY WENT  
21 THROUGH AND WHAT THE STANDARDS WERE. SO THE EQUIVALENT  
22 STANDARDS CRITERION SEEMS APPROPRIATE.

23 DR. HALL: BERNIE, CAN I ASK YOU SPECIFICALLY  
24 DO YOU THINK IT WOULD BE A MISTAKE TO SAY THAT WE  
25 APPROVE -- THAT ANY OF THE NIH-APPROVED LINES SHOULD BE

1 ELIGIBLE, PERIOD? I DIDN'T QUITE UNDERSTAND.

2 CO-CHAIR LO: I THINK THAT'S SOMETHING WE  
3 NEED TO THINK ABOUT. THE ARGUMENT ON ONE HAND SAY  
4 LET'S SAY USE THEM BECAUSE THEY ARE APPROVED AND WELL  
5 CHARACTERIZED. THEY PROBABLY AREN'T GOING TO BE USED  
6 FOR TRANSPLANTATION FOR A VARIETY OF SCIENTIFIC  
7 REASONS, BUT THEY CERTAINLY ARE VERY VALUABLE FOR OTHER  
8 TYPES OF RESEARCH.

9 ON THE OTHER HAND, THERE'S A CLEAR SORT OF  
10 LACK OF CLARITY AS TO WHETHER OR NOT A COUPLE OF THESE  
11 GUIDELINES ACTUALLY MEET STANDARDS THAT WERE PUT IN  
12 PLACE ELSEWHERE, SO IT'S LIKE HOW MUCH --

13 DR. HALL: SO HOW DO YOU COME DOWN ON THAT  
14 DISCUSSION SINCE YOU WENT THROUGH IT? AND IS THIS A  
15 TRIVIAL MATTER OR ONE THAT YOU THINK IS SERIOUS ENOUGH  
16 THAT WE SHOULD NOT DO THIS? WE SHOULD NOT  
17 AUTOMATICALLY QUALIFY THEM.

18 CO-CHAIR LO: WE CAME DOWN ON THE SIDE OF  
19 APPROVING NIH GUIDELINES BECAUSE THE WORK THAT WAS  
20 BEING PROPOSED IN THAT CASE WAS PURELY VIABLE  
21 LABORATORY AND NONHUMAN. IT WAS ANIMAL.

22 DR. HALL: SO YOU RAISE IT AS A CONCERN, BUT  
23 NOT NECESSARILY --

24 CO-CHAIR LO: CORRECT.

25 DR. HALL: -- ONE THAT WOULD DEMAND. OKAY.



1 DR. KORDOWER: I'M A LITTLE CONFUSED ABOUT  
2 WHAT YOU MEAN BY NOT BEING USED IN TRANSPLANTATION  
3 EXPERIMENTS. YOU MEAN CLINICAL TRANSPLANTATION, BUT  
4 NOT PRECLINICAL? BUT THEY WILL BE USED IN PRECLINICAL  
5 TRANSPLANTATION EXPERIMENTS?

6 CO-CHAIR LO: ABSOLUTELY. ABSOLUTELY. I  
7 THINK THE ISSUE COMES UP IF A GAMETE DONOR WHOSE  
8 MATERIALS WERE USED IN DERIVATIONAL NIH LINES, WAIT A  
9 MINUTE. I WAS COMFORTABLE WITH IT BEING USED FOR  
10 LABORATORY RESEARCH, BUT NO ONE EVER TOLD ME THEY WERE  
11 GOING TO TRANSPLANT THIS IN HUMANS. I DID NOT AGREE TO  
12 THAT. I WOULD NOT HAVE WANTED THAT. I THINK, AS JEFF  
13 POINTS OUT, THAT FOR PRECLINICAL WORK WITH ANIMALS, I  
14 DON'T SEE --

15 DR. HALL: SO WHAT YOU'RE SAYING IS THAT  
16 ALTHOUGH THERE WERE QUESTIONS ABOUT THE NIH LINES, IN  
17 THE END YOU FELT THAT -- YOU WANTED TO ALERT US TO  
18 THOSE. IN THE END YOUR JUDGMENT WAS IN THE CASES THAT  
19 YOU LOOKED AT, THAT THEY WERE NOT SERIOUS ENOUGH TO  
20 DISQUALIFY THOSE LINES.

21 CO-CHAIR LO: FOR PRECLINICAL.

22 MR. KLEIN: JUST AS A QUESTION. THERE IS A  
23 PROPOSAL ON THE TABLE FOR MODIFIED LANGUAGE INCLUDING  
24 AN EQUIVALENCY USING THE STEM CELL BANK IN ENGLAND AS A  
25 BENCHMARK AND INCLUDING LANGUAGE THAT WOULD PERMIT

1       ADDITIONAL STEM CELL BANKS IF THEY MET AN EQUIVALENCY  
2       STANDARD OF THAT KIND OF GOLD STANDARD THAT IS SET OUT  
3       BY THE ENGLISH STEM CELL BANK; IS THAT CORRECT? IS  
4       THAT WHERE WE ARE?

5                 DR. EGGAN: I THINK THAT'S WHERE I AM, BUT I  
6       GUESS I'M WONDERING WHETHER OR NOT WE WANT TO ACTUALLY  
7       REVIEW EACH ONE OF THOSE BANKS BEFORE WE ALLOWED THEM  
8       IN OR WHETHER OR NOT WE'RE WILLING TO MAKE A LARGE  
9       BLANKET STATEMENT LIKE THAT.

10                DR. HALL: EQUIVALENT STANDARDS, YOU THINK,  
11       IS NOT --

12                DR. EGGAN: WELL --

13                DR. HALL: CANADIAN, FOR EXAMPLE, OR  
14       AUSTRALIAN PROBABLY WOULDN'T.

15                CO-CHAIR LO: I HAVE A NUMBER OF COMMENTS.

16                DR. KIESSLING: I WAS JUST WONDERING HOW MUCH  
17       TROUBLE IS THIS GOING TO SAVE THE INVESTIGATOR? IS  
18       THIS SIMPLY A MATTER OF ORDERING A LINE FROM NIH AND  
19       THEY'LL PROVIDE YOU WITH ALL THE DOCUMENTATION AND YOU  
20       CAN JUST PASS IT ON, OR IS THIS DAYS OF REQUALIFYING A  
21       LINE?

22                DR. EGGAN: I THINK THIS IS SAYING THAT THEY  
23       WOULDN'T NEED THE DOCUMENTATION BECAUSE IT'S ALREADY  
24       BEEN DEMONSTRATED THAT THESE ARE DOCUMENTED CELL LINES,  
25       AND IT WOULD BE LIKE ORDERING SOMETHING UP FROM THE

1 ATCC RATHER THAN HAVING TO GENERATE THIS HUGE DOSSIER,  
2 WHICH TO A SCIENTIST IS A SUBSTANTIAL ADVANTAGE. AND  
3 THE THING IS I FEEL VERY COMFORTABLE WITH THE LEVEL OF  
4 REVIEW WHICH SEEMS TO BE GOING ON AT THE UK STEM CELL  
5 BANK. AND IT'S ONE TO SAY THAT OTHER PEOPLE ARE  
6 ADHERING TO EQUIVALENT STANDARDS, BUT IT'S ANOTHER  
7 THING FOR THEM TO ACTUALLY DO IT. SO I DO HAVE SOME  
8 CONCERN ABOUT THAT.

9 DR. KIESSLING: DOES THE NIH JUST PROVIDE YOU  
10 WITH ALL THE DOCUMENTATION YOU NEED, AND YOU CAN SIMPLY  
11 PASS THAT THROUGH WITH THE REQUEST?

12 DR. EGGAN: I DON'T KNOW.

13 DR. HALL: BERNIE WAS SAYING IN SOME CASES  
14 THEY ACTUALLY CAN'T.

15 CO-CHAIR LO: WHAT THEY DO -- THERE WAS A  
16 PIECE ON THE NIH WEBSITE, WHICH HAS ACTUALLY BEEN  
17 WITHDRAWN, WHICH DESCRIBES THE PROCESS UNDER WHICH  
18 THOSE LINES WERE APPROVED. AND THEY DETAIL THE TYPE OF  
19 MATERIALS THAT WERE REVIEWED. AND THEN YOU HAVE TO  
20 TRUST THAT THE NIH REVIEW PROCESS LOOKED AT THOSE  
21 MATERIALS CAREFULLY, THOUGHTFULLY, AND MADE A WISE  
22 JUDGMENT. YOU DO NOT ACTUALLY HAVE THE DOCUMENTS  
23 THEMSELVES, AND IT WOULD BE ACTUALLY A LOT OF  
24 DIFFICULTY FOR AN INVESTIGATOR.

25 THOSE OF YOU WHO ARE DOING THIS WORK, CORRECT

1 ME IF I'M WRONG, BUT I WAS TOLD THAT IT WOULD BE QUITE  
2 TIME-CONSUMING FOR INVESTIGATORS TO ACTUALLY PROVIDE  
3 THAT DOCUMENTATION TO THEIR IRB.

4 SO THE NOTION WAS THAT IT HAD BEEN REVIEWED  
5 BY A PROCESS THAT, FOR EXAMPLE, WE FELT COMFORTABLE  
6 ENOUGH THAT THE RIGHT MATERIALS WERE LOOKED AT AND IN A  
7 THOUGHTFUL MANNER, THAT THAT REVIEW NEED NOT BE  
8 REPEATED BY EACH INDIVIDUAL LOCAL IRB.

9 MS. CHARO: JUST TO FOLLOW UP ON THAT WITH  
10 SOME INFORMATION. FIRST, WE KNOW WHAT THE STANDARDS  
11 WERE THAT WERE BEING USED BY NIH IN DETERMINING WHICH  
12 LINES IT WOULD APPROVE FOR FUNDING. WE ALSO KNOW A LOT  
13 ABOUT WHAT THE STANDARDS WERE IN THE UNITED KINGDOM  
14 UNDER UK LAW AND HFEA POLICY FOR WHAT THEY WOULD ALLOW  
15 INTO THEIR BANK. AND INFORMATION ABOUT THAT WAS  
16 DISTRIBUTED TO THIS GROUP WHEN THE NAS REPORT WAS  
17 DISTRIBUTED AND SUMMARIZED IN THE REPORT. SO WE CAN  
18 TAKE NOTICE OF THAT INFORMATION.

19 AND THE KEY THINGS THAT CORRESPOND TO THE  
20 CIRM REQUIREMENTS UNDER PROP 71 INCLUDE THE  
21 NONCOMMERCIAL ASPECT OF THE MATERIAL COLLECTION AND THE  
22 VOLUNTARY INFORMED CONSENT ASPECT OF THE COLLECTION.  
23 SO TO THE EXTENT THAT PROP 71 SETS SOME ABSOLUTE BARE  
24 MINIMUM STANDARDS, WE KNOW THAT THOSE TWO COLLECTIONS  
25 MEET THOSE BARE MINIMUM STANDARDS.

1                   NOW, LATER IN THE DISCUSSION, AS WE MOVE INTO  
2 THE DIFFERENT WORKING GROUPS, YOU WILL FIND, AND I  
3 THINK IT'S THE VERY LAST PAGE IN OUR BOOKS, THE SUMMARY  
4 OF THE CONVERSATION WITHIN THE WORKING GROUP LOOKING AT  
5 INTERSTATE COOPERATION AND INTERNATIONAL COOPERATION.  
6 AND GEOFF HAS SUMMARIZED IT FOR US THERE. AND YOU WILL  
7 BEGIN TO SEE THE OUTLINE OF HOW THAT SUBCOMMITTEE  
8 THOUGHT WE MIGHT WANT TO TACKLE THE QUESTION OF HOW TO  
9 IDENTIFY OTHER LINES OR OTHER INSTITUTIONS OR OTHER  
10 STATE AND NATIONAL LAWS AND DETERMINE WHETHER OR NOT  
11 THEY DO OR DO NOT MEET, NOT ONLY PROP 71'S BARE  
12 MINIMUM, BUT SOME OTHER MINIMUM THAT THIS GROUP  
13 IDENTIFIES AS BEING A NONNEGOTIABLE MINIMUM LEVEL OF  
14 BEHAVIOR OR PROCESS BEFORE IT WILL ALLOW A CIRM-FUNDED  
15 RESEARCHER TO COLLABORATE.

16                   BUT IT SEEMS LIKE IT'S HARD TO GET IT ALL  
17 DONE NOW. IT'S A CHICKEN AND EGG PROBLEM. WE HAVEN'T  
18 GOTTEN TO THAT DISCUSSION, SO WE DON'T KNOW HOW TO  
19 AMEND THIS BEYOND THE TWO THINGS WE KNOW, WHICH IS THE  
20 UK STEM CELL BANK AND THE NIH COLLECTION.

21                   DR. EGGAN: SO I WOULD MOVE THAT WE JUST  
22 AMEND IT TO INCLUDE THOSE.

23                   DR. HALL: LET ME MAKE A SUGGESTION HERE. IF  
24 WE DO PUT THE PHRASE IN "EQUIVALENT STANDARDS" OR  
25 SOMETHING LIKE THAT, IN ESSENCE, WHAT THE NIH

1 GUIDELINES DO IS TO DELEGATE OR TO SUGGEST THAT THE  
2 ESCRO COMMITTEES AT THE LOCAL INSTITUTION SHOULD HAVE  
3 THE RESPONSIBILITY FOR LOOKING AT THE PROVENANCE OF THE  
4 LINES AND FOR DECIDING IN CASES PARTICULARLY OF  
5 INTERNATIONAL COLLABORATION. I THINK WHEREAS WE MAY  
6 WANT TO TALK ABOUT THAT AT A LATER TIME, IT MIGHT BE  
7 FOR THE INTERIM THAT IF WE SAY "OR APPROPRIATE  
8 STANDARDS," THEN IT BECOMES THE RESPONSIBILITY OF THE  
9 INDIVIDUAL INSTITUTION TO EXAMINE THAT, MAKE A DECISION  
10 ABOUT IT.

11 AND AS BERNIE'S CASE DESCRIBES, THEY ARE  
12 ALREADY DOING THIS AT MANY OF THESE INSTITUTIONS ANYHOW  
13 FOR THESE LINES. IT'S NOT TO GIVE THEM A NEW AND  
14 UNEXPECTED RESPONSIBILITY; BUT THAT IF WE SIMPLY STATE  
15 THE MINIMUM, STATE THE BROAD LEVEL OF STANDARD THAT WE  
16 EXPECT, AND THEN IT DOESN'T CLOSE THE DOOR TO USING  
17 LINES OUTSIDE OF THAT, BUT IT PUTS THE BURDEN OF PROOF  
18 ON THEM TO SHOW THAT THEY'RE OF AN EQUIVALENT STANDARD.

19 I DON'T KNOW IF THAT'S AN ACCEPTABLE -- THAT  
20 WOULD EASE YOUR FEELING OF UNEASE ABOUT THIS OR NOT,  
21 BUT THAT, IT SEEMS TO ME, IS ONE WAY TO THINK ABOUT  
22 DOING THIS SINCE IT'S ALREADY LAID OUT HERE.

23 CO-CHAIR LO: COUPLE OF PEOPLE TRYING TO GET  
24 IN, SO FRANCISCO AND ROB AND BOB.

25 DR. PRIETO: I'M JUST FORMING MY OWN THOUGHTS

1 ABOUT THIS. IT SEEMED TO ME THAT IT WOULD BE BEST TO  
2 KEEP THIS FAIRLY GENERAL STATEMENT. AND I THINK THAT'S  
3 WHAT ZACH IS SAYING RIGHT NOW. ARE YOU SUGGESTING THAT  
4 WE LEAVE THE LANGUAGE AS IS, AND THAT THAT WOULD  
5 INCORPORATE THAT, OR WOULD YOU ADD SOMETHING TO THIS  
6 LANGUAGE?

7 DR. HALL: NO. I THINK THE PROBLEM WITH THE  
8 LANGUAGE AS IS IS THAT IT'S -- FOR CELL LINES THAT MAY  
9 BE ANNOUNCED TOMORROW, THERE'S NO PROVISION. AND SO IT  
10 WOULD -- I THINK THE INTENT HERE IS TO TRY TO MAKE IT  
11 TO SET A MINIMUM STANDARD, TO SET A GENERAL STANDARD  
12 THAT WE EXPECT, AND THEN TO PUT THE BURDEN ON THE  
13 INDIVIDUAL INSTITUTIONS THROUGH THEIR ESCRO COMMITTEES  
14 TO THEN DEMONSTRATE THAT WHATEVER LINES ARE USED BY  
15 THEIR INVESTIGATORS WITH CIRM FUNDING WOULD FOLLOW  
16 THIS -- WOULD BE COMPATIBLE WITH OUR GENERAL STANDARDS.

17 DR. PRIETO: HOW WOULD YOU WORD THAT?

18 DR. HALL: I THINK, IF WE COME BACK TO IT,  
19 I'M A LITTLE LOST NOW WITH THE WORDING, BUT I THINK THE  
20 WORDING THAT ALTA SUGGESTED AS AMENDED BY KEVIN, AND I  
21 WOULD SUGGEST ADDING THE "OR EQUIVALENT STANDARD" AND  
22 THEN LEAVING IT, AND THEN I THINK THE GUIDELINES AS  
23 ESTABLISHED GIVE THAT ROLE TO THE ESCRO COMMITTEES TO  
24 REALLY THEN DECIDE IF THEY FIT THIS OR NOT. IS THAT A  
25 REASONABLE WAY TO GO?

1 CO-CHAIR LO: LET'S GET SOME MORE COMMENTS.  
2 IN THE INTERIM, IF WE COULD ASK ALTA AND/OR KEVIN TO  
3 KIND OF ACTUALLY -- WE NEED TO LOOK AGAIN, I THINK, AT  
4 THE END OF THIS NEXT ROUND OF DISCUSSIONS OF WHAT THE  
5 ACTUAL LANGUAGE IS. ROB AND THEN BOB.

6 DR. TAYLOR: I GUESS I SAY THIS SOMEWHAT  
7 RELUCTANTLY, BUT I'M CONCERNED THAT THE LEVELS OF  
8 STANDARDS THAT WE'RE TALKING ABOUT MIGHT NOT TRULY BE  
9 THE LEVEL OF STANDARDS THAT WE WANT TO ACHIEVE IN CIRM.  
10 AND, BERNIE, YOU MIGHT KNOW BETTER THAN I, BUT I DON'T  
11 BELIEVE THAT THE UK STEM CELL BANK HAS THE GAMETE DONOR  
12 ISSUES DISCUSSED THAT YOU RAISED IN YOUR CONCERN ABOUT  
13 THIS ONE NIH BASELINE. I'M A LITTLE BIT CONCERNED THAT  
14 WE ARE, IN FACT, GOING TO BE WATERING DOWN THE  
15 STANDARDS THAT WE WOULD REALLY WANT TO PROMULGATE.

16 NOW, I ACCEPT THAT GRANDFATHERING IS  
17 IMPORTANT AND THAT WE DON'T WANT TO LOSE THE  
18 OPPORTUNITY WE HAVE WITH THESE THINGS, BUT I'M A LITTLE  
19 CONCERNED ABOUT ESTABLISHING LANGUAGE THAT'S ACTUALLY  
20 GOING TO LOWER OUR STANDARDS.

21 DR. ROWLEY: THIS IS JANET. YOU KNOW, I WAS  
22 INVOLVED IN WRITING THE CELL LINE GUIDELINES AND READ  
23 THE UK -- THE UK GUIDELINES, I'M SURE THAT THEY MADE  
24 CERTAIN THAT THE GAMETE DONORS GAVE INFORMED CONSENT.

25 CO-CHAIR LO: IS THERE SOMEONE ON STAFF THAT



1 CAN CHECK THAT FOR US?

2 MR. KLEIN: JAMES, MAYBE FOR THE BENEFIT OF  
3 EVERYONE HERE, WE COULD DISCUSS HOW THIS COMMITTEE'S  
4 WORK INTERRELATES WITH THE ADMINISTRATIVE PROCEDURES  
5 ACT AND THE INTENT TO PROVIDE GRANTS IN REAL TIME. AND  
6 THAT IS, THAT IF WE HAVE AN EQUIVALENCY STANDARD IN OUR  
7 GUIDELINES AND THOSE GO THROUGH THE ADMINISTRATIVE  
8 PROCEDURES ACT, THEN, FOR EXAMPLE, WHETHER IT'S THE  
9 ESCRO COMMITTEE OR THIS COMMITTEE, THERE COULD BE A  
10 JUDGMENT ABOUT EQUIVALENCY. IF WE DON'T PUT AN  
11 EQUIVALENCY STANDARD IN, THEN WE'RE NOT GOING TO BE IN  
12 A POSITION TO APPROVE GRANTS.

13 MR. HARRISON: RIGHT. IF THE RESEARCH  
14 INVOLVES CELL LINES THAT AREN'T COVERED BY THIS  
15 EXCEPTION, YOU WOULD NEED TO GO THROUGH A FORMAL  
16 PROCESS IN ORDER TO AMEND THE REGULATIONS TO CREATE A  
17 NEW EXCEPTION.

18 MR. KLEIN: SO MY SUGGESTION WOULD BE THAT  
19 THE EQUIVALENT STANDARDS IS IMPORTANT. WHERE YOU HOUSE  
20 THAT APPROVAL IS, YOU KNOW, SOMETHING TO BE DECIDED.

21 BUT, JAMES, THE OTHER POINT, AND I'M GLAD DR.  
22 HALL IS BACK, IS THAT ON PREEXISTING LINES, IT SAYS,  
23 FOR EXAMPLE, RECEIVES DOCUMENTATION, AND IT PROVIDES A  
24 NUMBER OF ITEMS UNDER THE ROMANAT KEYS OF THE TYPES OF  
25 DOCUMENTATION. IT SAYS OR OTHER MANDATED REVIEW. WE

1 DON'T HAVE ANY PROVISION FOR A WAIVER WHERE SOMEONE CAN  
2 COME TO THIS COMMITTEE FOR A WAIVER. AND I WOULD  
3 THINK, RATHER THAN BEING FORCED ALL THE WAY BACK TO THE  
4 ADMINISTRATIVE PROCEDURES ACT, IT WOULD BE GOOD TO BE,  
5 IN THIS PROVISION AND IN OTHER PROVISIONS, TO HAVE A  
6 GENERAL CLAUSE THAT ALLOWS WAIVER IF IT COMES BACK TO A  
7 PUBLIC MEETING OF THIS COMMITTEE FOR CONSIDERATION  
8 BECAUSE THERE MAY BE A MINOR MANDATED REVIEW THAT  
9 WASN'T PERFORMED, SO YOU CAN'T PROVIDE THE  
10 DOCUMENTATION. YOU DON'T WANT TO PUT YOURSELF IN A  
11 POSITION WHERE YOU HAVE NO WAIVER PROCEDURE.

12 AND GIVEN THESE ARE PUBLIC MEETINGS, WE COULD  
13 COME BACK. PERHAPS THERE'S A WAIVER -- A LEVEL OF  
14 WAIVER THAT COULD HAPPEN AT THE PRESIDENT'S LEVEL AND A  
15 LEVEL OF WAIVER WHERE IT MIGHT COME BACK TO THIS  
16 COMMITTEE. BUT THAT'S A SEPARATE ITEM THAN STATING THE  
17 IMPORTANCE.

18 DR. HALL, WHAT I WAS SAYING IS THAT UNDER THE  
19 ADMINISTRATIVE PROCEDURES ACT, IF WE DON'T HAVE AN  
20 EQUIVALENCY STANDARD, THEN IF WE DON'T PUT IT IN  
21 THROUGH THIS PROCESS, WE'D HAVE TO GO ALL THE WAY BACK  
22 THROUGH THE ADMINISTRATIVE PROCEDURES ACT TO EVER  
23 INTRODUCE AN EQUIVALENCY STANDARD. SO THIS IS THE TIME  
24 TO INTRODUCE SUCH A STANDARD IF WE'RE GOING TO HAVE  
25 ONE.

1                   AND BY INTRODUCING IT, IT ALLOWS THE  
2 FLEXIBILITY OF EITHER BRINGING IT BACK TO THIS  
3 COMMITTEE FOR REVIEW OR IN THE ESCRO COMMITTEE,  
4 WHATEVER YOUR DISCRETION IS, BUT AT LEAST IT GIVES A  
5 LOT OF FUTURE FLEXIBILITY WHEN OTHER STEM CELL BANKS  
6 BECOME WELL ESTABLISHED AND THEIR PROCEDURES ARE KNOWN,  
7 OR AS THE INTERNATIONAL SOCIETY DEVELOPS STANDARDS THAT  
8 ARE WELL-KNOWN.

9                   DR. HALL: I'M SORRY. I MISSED PART OF THAT.

10                  MR. KLEIN: I'M SUPPORTING THE NEED FOR  
11 EQUIVALENCY IN THE LANGUAGE THAT IS ADOPTED.

12                  DR. HALL: I THINK IT WILL BE A LONG-TERM  
13 PROBLEM FOR US OF HOW WE DEAL WITH THIS. AND THAT'S  
14 OBVIOUSLY WHY THE WHOLE WORKING GROUP IS DOING THAT.  
15 THAT IS, SOMEBODY COMES UP WITH A CELL LINE FROM SOME  
16 GROUP OF INVESTIGATORS SOMEWHERE IN THE WORLD, AND THE  
17 QUESTION IS CAN CIRM INVESTIGATORS USE THAT CELL LINE  
18 AND WHO MAKES THAT DECISION, AND ARE WE GOING TO  
19 CERTIFY OR ARE OTHER PEOPLE GOING TO CERTIFY?

20                  YOU'RE GOING TO PRESENT THE UK STANDARDS?

21                  DR. CIBELLI: SO WE CAN MOVE ON. THE UK SAYS  
22 THEY HAVE A STEERING COMMITTEE THAT ALWAYS REVIEW THE  
23 PAPERWORK BEFORE THEY TAKE THE CELL LINES IN. IT'S IN  
24 THE WEBSITE. YOU CAN FIND IT. IT SAID THE CRITERIA ON  
25 WHICH THE STEERING COMMITTEE MAKES ITS DECISION ARE

1 ALSO PUBLISHED IN THE MRC WEBSITE. AND THEY HAVE TO  
2 SUBMIT ALL THE SUPPORTING DOCUMENTS, AND THE DOCUMENTS  
3 ARE BASICALLY -- THEY'RE TALKING ABOUT ETHIC COMMITTEE  
4 APPROVAL FROM THE INSTITUTION, PEER REVIEW, AND  
5 INFORMED CONSENT FOR THE WORK OF THE DERIVATION OF THE  
6 CELL LINE SHOULD BE SUBMITTED. SO THAT'S -- THAT'S  
7 SOMETHING THAT WE WILL HAVE TO DO IN THE FUTURE AS THE  
8 COMMITTEE THAT TAKES CARE OF THAT.

9 CO-CHAIR LO: GEOFF IS ACTUALLY TRYING TO  
10 LOOK THROUGH TO SEE IF THE INFORMED CONSENT MUST BE  
11 FROM GAMETE DONORS AS WELL AS EMBRYO.

12 MS. CHARO: WE'RE BOTH WORKING ON IT. AND,  
13 YOU KNOW, IT'S PROOF THAT THE WEB PAGE NEEDS TO BE  
14 IMPROVED. IT'S NOT EASY TO GET TO IT.

15 MR. LOMAX: WE'RE IN THE ACTUAL DOCUMENT HERE  
16 AS WELL.

17 DR. CIBELLI: DR. LO, IF I CAN JUST FINISH  
18 UP. I WOULD LIKE TO ECHO WHAT KEVIN EGGAN IS SAYING,  
19 THAT BEFORE WE SAY SOMETHING ALONG THE LINES OF  
20 ADOPTING SOMEONE ELSE'S GUIDELINES, I WOULD RATHER JUST  
21 SAY WE WILL MAKE SURE THAT THE CELL LINES WHEN THEY ARE  
22 SUBMITTED TO OUR BANK WILL BE THIS CRITERIA. IF WE  
23 DON'T HAVE THE CRITERIA TODAY, THAT'S FINE. WE JUST  
24 NEED TO KNOW.

25 MR. LOMAX: SECTION 13, A WOMAN SHALL NOT BE

1 PROVIDED WITH ANY TREATMENT SERVICES INVOLVING THE USE  
2 OF ANY GAMETES OF ANY PERSON, BUT THIS IS TALKING ABOUT  
3 TREATMENT. THIS IS FOR FERTILIZATION. THIS IS THE  
4 WRONG ONE.

5 CO-CHAIR LO: AGAIN, THERE MAY BE -- PART OF  
6 THIS IS STRATEGY. THESE ARE ISSUES WHICH WE WILL HAVE  
7 TO ADDRESS. ONE OF THE SUBCOMMITTEES IS GOING TO DO  
8 THAT. AS A WORKING GROUP WE DISCUSS THE ISSUE. FOR  
9 THESE INTERIM GUIDELINES, WHAT SHOULD WE PUT IN PLACE  
10 FOR THE NEXT NINE MONTHS AND HOW DETAILED?

11 DR. EGGAN: I GUESS THE IMPORTANT THING THAT  
12 BOB BROUGHT UP WAS THAT JUST BY SAYING THAT WE ALLOW  
13 THIS EQUIVALENCY STATEMENT DOESN'T MEAN THAT WE CEDE  
14 THE AUTHORITY TO JUDGE EQUIVALENCY. FOR ME, I GUESS  
15 THAT WAS THE IMPORTANT ISSUE.

16 CO-CHAIR LO: WHO JUDGES WHAT'S EQUIVALENT?

17 DR. EGGAN: IT COULD BE BOTH UP TO US AND TO  
18 THE ESCRO'S TO JUDGE THE EQUIVALENCY. AM I  
19 REGURGITATING WHAT YOU SAID CORRECTLY, BOB? WHAT YOU  
20 SAID IS THE IMPORTANT THING ABOUT THIS EQUIVALENCY  
21 STATEMENT DOESN'T SAY THAT WE CEDE OUR AUTHORITY TO  
22 JUDGE EQUIVALENCY. IT DOES NOT CEDE OUR AUTHORITY TO  
23 JUDGE EQUIVALENCY.

24 DR. HALL: I THINK IT SAYS AS A PRACTICAL  
25 MATTER IN THE MEANTIME, GIVEN THE REST OF THIS

1 DOCUMENT, THAT WE WILL LET THE ESCRO COMMITTEE DECIDE  
2 THIS. WHAT I THINK IS NOT A GOOD ROLE FOR THIS  
3 COMMITTEE, AND CERTAINLY IN THE NEXT FEW MONTHS, MAYBE  
4 NOT LONG TERM, THAT'S A SEPARATE DECISION, LONG TERM  
5 MAYBE, BUT NOT IN THE NEXT MONTH IS TO HAVE SOMEBODY  
6 COME AND SAY UCLA SAYS WE HAVE ONE OF OUR INVESTIGATORS  
7 WHO'S REQUESTED A LINE THAT WAS MADE WHEREVER YOU WANT  
8 SAY, IN COSTA RICA, AND WE WANT YOU TO CERTIFY THAT  
9 IT'S OKAY FOR US TO TAKE THAT. I DON'T THINK THAT'S  
10 WHAT THIS COMMITTEE SHOULD BE DOING.

11 AND I THINK WHAT WE SAY IS, LOOK, HERE'S OUR  
12 MINIMUM STANDARDS, HERE'S THE EQUIVALENT STANDARDS, AND  
13 HERE'S THE LEVEL WE SUGGEST. IT'S UP TO YOU GUYS TO DO  
14 THE LEGWORK ON THIS AND FIGURE IT OUT. IT'S YOUR  
15 PROBLEM, THEN, TO JUSTIFY TO US THAT THAT IS EQUIVALENT  
16 TO THE UK STANDARDS OR WHATEVER IT IS.

17 DR. EGGAN: I WOULD WANT TO SAY THAT WE MAY  
18 WANT TO ACT LIKE THE SUPREME COURT OF ESCRO'S, AND  
19 ESSENTIALLY ALMOST ALL DECISION IS BASED IN A WAY ON  
20 CASE LAW. IT COULD BE THAT WE WANT TO MAKE A GENERAL  
21 STATEMENT THROUGHOUT ALL OF CALIFORNIA ABOUT THAT CELL  
22 LINE BECAUSE IT SAYS SOMETHING ABOUT WHY THAT CELL LINE  
23 IS INCORRECT.

24 DR. HALL: ULTIMATELY WE MAY WANT TO DO THAT,  
25 AND WE MAY WANT TO DO THAT EITHER AS THIS COMMITTEE, OR

1 AN ALTERNATIVE WOULD BE TO SET UP A STATEWIDE COMMITTEE  
2 THAT WOULD THEN AGREE ON BEHALF OF ALL THE INSTITUTIONS  
3 HERE ABOUT WHICH ONES WOULD DO THAT. THAT MIGHT BE  
4 ANOTHER WAY TO HANDLE IT. I THINK TO HAVE THIS  
5 COMMITTEE AT THIS POINT HAVE TO ACT ON THOSE AND HAVE  
6 TO GET THE INFORMATION AND, IN EFFECT, ACT LIKE A LOCAL  
7 COMMITTEE, I THINK, IS PROBABLY NOT CORRECT.

8 I THINK WHAT WE SHOULD DO IS TO SAY, LOOK,  
9 WE'RE GOING TO GIVE YOU THE MONEY. HERE ARE THE  
10 STANDARDS WE EXPECT. AND IT'S UP TO YOU TO SHOW US  
11 THAT WHAT YOU'RE DOING MEETS THESE STANDARDS OR ITS  
12 EQUIVALENT.

13 DR. EGGAN: I'M COMFORTABLE WITH THAT.

14 DR. HALL: SO IT DOESN'T CEDE OUR AUTHORITY  
15 TO SAY WHAT THE STANDARDS ARE OR TO SAY THAT YOU  
16 HAVEN'T MET THE STANDARDS. THAT ABSOLUTELY IS NOT THE  
17 CASE, BUT IT RELIEVES US OF THE WORKING BURDEN OF  
18 PROOF. THAT'S WHAT I'M TRYING TO DO.

19 MR. KLEIN: SO TO MAINTAIN THE FLEXIBILITY  
20 THAT KEVIN AND YOU WERE JUST REFERRING TO, IT WOULD BE  
21 EQUIVALENCY AS DETERMINED BY THE ESCRO OR THIS  
22 COMMITTEE OR A BODY SET UP BY THIS COMMITTEE.

23 DR. HALL: WELL, I THINK FOR THE INTERIM, I  
24 THINK MY SUGGESTION IS THAT WILL -- I SUGGEST IT WILL  
25 REQUIRE A LONGER DISCUSSION AMONG US ABOUT HOW THAT

1 SHOULD BE DONE. BUT I WOULD JUST SAY FOR THE INTERIM,  
2 IF WE JUST SAY THAT IT REQUIRES IT TO DO THAT, OTHER  
3 PARTS OF THIS DOCUMENT -- I'LL HAVE TO LOOK IT UP TO  
4 FIND IT -- BUT OTHER PARTS OF THIS DOCUMENT SAY, I  
5 THINK, THAT IT IS ONE OF THE JOBS OF THE ESCRO  
6 COMMITTEE TO DO THAT.

7 CO-CHAIR LO: WELL, IS THIS A PLACE WHERE  
8 SOME AMBIGUITY IN THE REGULATIONS, IN THE INTERIM  
9 GUIDELINES MAY BE HELPFUL AS OPPOSED TO TRYING TO BE  
10 TOO SPECIFIC NOW FOR SOMETHING THAT MAY NOT COME UP?  
11 JUST A GENERAL QUESTION.

12 MR. KLEIN: WHAT WOULD HAPPEN HERE IS THAT IF  
13 YOU GIVE YOURSELF THE FLEXIBILITY NOW, YOU CAN SAY  
14 EQUIVALENCY AS DETERMINED BY THE ESCRO COMMITTEE OR  
15 THIS COMMITTEE OR A GROUP SET UP BY THIS COMMITTEE.  
16 THEN IN THESE REGULATIONS YOU CAN SAY AT THIS POINT THE  
17 ESCRO COMMITTEE DOES IT, BUT YOU LEAVE IN THE -- YOU'VE  
18 THEN AUTHORIZED YOURSELF AT A FUTURE TIME, IF YOU WANT  
19 TO SET UP A STATEWIDE BODY OR YOU WANT TO MAKE THE  
20 DECISION THROUGH THIS COMMITTEE, YOU'VE GOT THE  
21 AUTHORITY THAT'S ALREADY GONE THROUGH THE  
22 ADMINISTRATIVE PROCEDURES ACT.

23 DR. HALL: I WOULD ARGUE THAT FOR THE NINE  
24 MONTHS WE OUGHT TO DO THIS, AND THEN WE HAVE A  
25 DISCUSSION. JUST TO LEAVE IT TO THE ESCRO COMMITTEE,



1 AND THEN WE HAVE A DISCUSSION LATER ABOUT THE RIGHT WAY  
2 TO DO IT, AND MAYBE THE DOCUMENT WE PRESENT ON NOVEMBER  
3 1ST WOULD OFFER EITHER THESE THREE ALTERNATIVES OR ONE  
4 OF THEM OR WHATEVER, BUT I THINK -- I GUESS MY POINT IS  
5 WE'VE GOT ENOUGH TO DO. I THINK IF SOMEBODY COMES AND  
6 SAYS TO US WHICH OF THOSE SHOULD WE DO, I THINK WE  
7 SHOULD JUST SAY ESCRO. IN THE NEXT NINE MONTHS, WE CAN  
8 CHANGE IT.

9 CO-CHAIR LO: LET ME TRY AND SEPARATE. THERE  
10 ARE A NUMBER OF ISSUES HERE. ONE IS WHAT SLIDES ARE WE  
11 GOING TO ACCEPT RIGHT OFF THE BAT? THE PROPOSAL WAS  
12 NIH AND QUESTION THE HFEA OR UK STEM CELL BANK. I'D  
13 LIKE TO SEPARATE THAT OUT JUST SORT OF LOGISTICALLY  
14 FROM THE VERY IMPORTANT QUESTION OF EQUIVALENT  
15 STANDARDS, AND DO WE WANT TO PUT IN A PROVISION ABOUT  
16 EQUIVALENT STANDARDS. AND THEN BEYOND THAT, DO WE WANT  
17 TO SPECIFY WHO DETERMINES EQUIVALENCY STANDARDS, AND  
18 SHOULD IT BE THE ESCRO FOR THE NEXT NINE MONTHS AND  
19 INTERIM GUIDELINES, OR DO WE WANT TO ADOPT BOB'S  
20 PROPOSAL, WHICH IS ESCRO OR CIRM OR SOMETHING ELSE.

21 LET'S TRY AND TACKLE THIS ONE AT A TIME. I  
22 THINK THEY'RE INDEPENDENT CHOICES. ALTA ACTUALLY HAS  
23 INFORMATION ON WHAT --

24 MS. CHARO: PEOPLE WANTED TO KNOW WHAT THE UK  
25 STANDARD IS. SO IT TURNS OUT THAT THE STANDARDS ARE

1 EMBODIED IN THE APPLICATION THAT YOU FILE TO DEPOSIT  
2 CELL LINES WITH THE UK STEM CELL BANK. I'LL BE HAPPY  
3 TO FORWARD THIS.

4 LIKE THE NIH, IT DOES NOT EXPLICITLY REQUIRE  
5 CONSENT FROM WHAT WOULD ORDINARILY BE AN ANONYMOUS EGG  
6 OR SPERM DONOR WHO WAS INVOLVED IN WHAT STARTED OUT AS  
7 A REPRODUCTIVE ENTERPRISE. SO THERE WILL BE  
8 UNCERTAINTY, I PREDICT.

9 THEY DO, ON THE OTHER HAND, HAVE FAIRLY  
10 EXTENSIVE AND DETAILED QUESTIONS ABOUT OTHER ASPECTS OF  
11 THE CONSENTING PROCESS AND SOME RULES THAT,  
12 INTERESTINGLY, GO BEYOND OURS THAT ARE IMPLICATED IN  
13 SOME OF THE THINGS LATER IN OUR OWN DISCUSSION ABOUT  
14 DONOR CONTROL OVER THE USE OF THEIR CELL LINES.

15 THE OTHER THING THAT'S INTERESTING ABOUT THE  
16 UK STEM CELL BANK IS THAT THEY WILL AUTOMATICALLY  
17 ACCEPT ANYTHING THAT WAS ON THE NIH-APPROVED LIST. SO  
18 THERE'S A KIND OF A SNAKE SWALLOWING ITS TAIL THING  
19 GOING ON HERE. UNLESS THIS GROUP RIGHT NOW AT THE  
20 OUTSET WANTS TO DRAW A LINE IN THE SAND ABOUT THE NEED  
21 FOR CONSENT FROM ANONYMOUS SPERM DONORS BACK IN THE  
22 PAST; THAT IS, RETROACTIVE APPLICATION IF ONE OF THEM  
23 WERE CONTROVERSIAL AND SOMEWHAT KIND OF TANGENTIAL NAS  
24 RECOMMENDATIONS, EXCEPT FOR THAT, IT WOULD SEEM THAT  
25 THERE WOULD BE LITTLE PROBLEM IN TAKING THE

1 NIH-APPROVED LINES AND UK STEM CELL BANK LINES,  
2 GRANDFATHERING THEM IN IN TERMS OF DOCUMENTATION  
3 REQUIREMENTS, AND THEN LEAVING TOTALLY SEPARATE, AS  
4 BERNIE WAS SUGGESTING, THE DISCUSSION ABOUT HOW TO  
5 SUBSTANTIVELY AND PROCEDURALLY MANAGE THE QUESTION OF  
6 EQUIVALENCY AS WE TRY TO INCREASE THIS LIST BEYOND JUST  
7 THOSE TWO SOURCES.

8 DR. KIESSLING: SPERM AND EGG DONORS.

9 MS. CHARO: SPERM AND EGG DONORS WHAT?

10 DR. KIESSLING: THE CONSENT DOESN'T JUST  
11 APPLY TO SPERM DONORS. IT'S ALSO TO ANONYMOUS EGG  
12 DONORS.

13 MS. CHARO: RIGHT. THE QUESTION IS -- I'M  
14 JUST USING IT AS THE MOST TYPICAL EXAMPLE. MOST IVF  
15 EMBRYOS, THE MOST TYPICAL EXAMPLE THAT WE DISCOVERED IS  
16 ONE WHERE THERE WAS AN ANONYMOUS SPERM DONOR THAT WAS  
17 FAR MORE COMMON. WE FOUND THAT IN ABOUT 10 PERCENT OF  
18 THE CASES, THE EMBRYOS THAT ARE FROZEN AND AVAILABLE  
19 FOR RESEARCH PROBABLY INVOLVE THE USE OF AN ANONYMOUS  
20 SPERM DONOR.

21 DR. CIBELLI: I WANT TO SAY THAT I AGREE THAT  
22 WE HAVE TO MOVE ON AND KEEP THINGS A LITTLE BIT  
23 GENERAL. LEAVING THIS TO THE REGIONAL OR LOCAL ESCRO'S  
24 COULD BE A PROBLEM BECAUSE WE DON'T KNOW HOW THESE  
25 ESCRO'S ARE GOING TO -- WHAT KIND OF BYLAWS THEY'RE

1 GOING TO HAVE ON HOW THEY' RE GOING TO OPERATE. SO YOU  
2 MAY END UP IN A SITUATION WHERE YOU DEVELOP A CELL LINE  
3 IN SACRAMENTO IT' S EASIER TO GET IT APPROVED THAN IF  
4 YOU DEVELOPED THAT IN SAN DIEGO. SO AT SOME POINT WE  
5 AS A COMMITTEE WILL HAVE TO HAVE THE STANDARDS.

6 DR. HALL: I THINK AS I POINTED OUT LAST  
7 TIME, I THINK ONE OF THE ROLES THAT, IN FACT, WE, CIRM,  
8 CAN PLAY IS IN COORDINATING THE ACTIVITIES OF THE ESCRO  
9 COMMITTEES AT THE VARIOUS PLACES TO BE SURE THAT, IN  
10 FACT, THEY' RE EQUIVALENT CELL LINES. I THINK -- I  
11 DON' T SEE HIM NOW. SOMEONE WAS HERE FROM THE FACULTY  
12 AT UCLA MIGHT WANT TO COMMENT, SOMEBODY WHO IS ON THE  
13 FRONT LINES OF THIS. BUT I THINK IT IS EVERYBODY' S  
14 CONCERNS IN GENERAL TO HAVE STANDARDS -- TO HAVE COMMON  
15 BEST PRACTICES AND INTERPRETATIONS. AND SO ALTHOUGH I  
16 THINK THERE IS SOME DANGER OF THAT, I DON' T THINK  
17 THERE' S VERY MUCH.

18 I THINK THE INSTITUTIONS, BY THE WAY, BECAUSE  
19 OF THE SENSITIVITY OF THE SUBJECT, ARE GOING TO BE VERY  
20 SERIOUS ABOUT THEIR ESCRO RESPONSIBILITIES. WE ALREADY  
21 HEARD FROM BERNIE AT UCSF. SO I THINK FOR THE INTERIM  
22 TO REFER IT TO THE ESCRO' S IS -- MY FEELING IS THAT' S  
23 THE BEST SOLUTION.

24 DR. CIBELLI: FOR CLARIFICATION ALSO, WE' RE  
25 TALKING ABOUT FUNDING, NOT BANKING.

1 DR. HALL: NO. NO. WE CAN ONLY SPEAK, WE  
2 CAN ONLY PRONOUNCE ON WHAT WE FUND. WE CAN'T SAY --  
3 THE INDIVIDUAL -- HOWEVER, AT EACH INSTITUTION, AND  
4 THIS WAS A QUESTION THAT CAME UP IN OUR DISCUSSION LAST  
5 TIME, AND I THINK KEVIN MADE THIS POINT, INSTITUTIONS  
6 WILL NOT ONLY HAVE CIRM-FUNDED RESEARCH, BUT OTHER  
7 RESEARCH ON HUMAN EMBRYONIC STEM CELLS THAT THEY ARE  
8 RESPONSIBLE FOR. AND, OF COURSE, THEIR CONCERN IS THAT  
9 THERE BE EQUIVALENT STANDARDS ACROSS THAT. WE DON'T  
10 WANT TO HAVE UCLA, FOR EXAMPLE, TO HAVE CIRM STEM CELL  
11 WORK ON ONE STANDARD AND THEN BE ABLE TO DO SOMETHING  
12 WITH PRIVATE FUNDS THAT'S MUCH LESS.

13 SO ALL OF THAT, I THINK, IS A PROBLEM TO BE  
14 WORKED OUT, BUT ALL I'M POINTING OUT IS THAT I THINK  
15 INSTITUTIONS HAVE A VERY HEAVY INVESTMENT IN DOING THIS  
16 RIGHT. WE CAN SAY TO THEM WHAT WE EXPECT, AND I THINK  
17 THEY WILL TRY TO MATCH THAT. AND, OF COURSE, WE HAVE A  
18 VERY BIG STICK IN THE END, WHICH IS OUR FUNDING.

19 CO-CHAIR LO: LET ME AGAIN TRY TO HOPEFULLY  
20 HELP US WORK TOWARDS RESOLUTION BY ASKING US TO  
21 SEPARATE THE ISSUES. LET'S FIRST TRY AND DECIDE ARE WE  
22 GOING TO SORT OF GRANDFATHER IN NIH, HFEA, AND UK STEM  
23 CELL LINES? AND ONCE WE'VE DECIDED THAT, THEN LET'S  
24 GET TO, I THINK IT'S ACTUALLY A MORE COMPLICATED  
25 QUESTION FOR THE INTERIM GUIDELINES, WHAT WE WANT TO DO

1 ABOUT EQUIVALENT STANDARDS. BUT IF IT'S OKAY WITH YOU,  
2 I'D LIKE TO SORT OF ADDRESS THE NIH AND UK DOCUMENTS  
3 FIRST.

4 DR. EGGAN: SO I THINK WE SHOULD GRANDFATHER  
5 THOSE IN BECAUSE IF WE DON'T, NOTHING IS GOING TO GET  
6 DONE BY THE SCIENTISTS FOR ANOTHER NINE MONTHS ANYWAY.  
7 IT TAKES SO LONG FOR THEM TO GATHER THOSE DOCUMENTS AND  
8 GET THEM TO THE ESCRO'S, THAT IF WE DON'T TAKE ALTA'S  
9 ADVICE, IT'S NOT GOING TO MATTER. I AGREE THAT WE  
10 SHOULD SEPARATE THEM AND THAT WE SHOULD ADOPT, WE  
11 SHOULD GRANDFATHER IN THE LINES FROM NIH AND FROM THE  
12 UK ACT AND THE STEM CELL BANK, AND THEN LEAVE THESE  
13 OTHER ISSUES FOR LATER.

14 CO-CHAIR LO: LET'S DO THAT. LET'S TRY AND  
15 RESOLVE THAT ONE FIRST.

16 DR. KIESSLING: I THINK THE BASIC QUESTION  
17 HERE IS FOR MOST OF THE CELL LINES THAT ARE ALREADY  
18 BANKED, AND THIS IS TRUE OF THE UK AS WELL AS HERE, THE  
19 CONSENT BY THE ANONYMOUS DONORS, EITHER EGG OR SPERM,  
20 IS PROBLEMATIC BECAUSE EVEN IF THEY WERE CONSENTED THAT  
21 THEY COULD USE ANYTHING RESULTING FOR RESEARCH, IT  
22 WASN'T NECESSARILY STEM CELL RESEARCH. SO I THINK THE  
23 CHARGE TO THIS COMMITTEE IS TO DECIDE IF WE ARE WILLING  
24 TO ALLOW CALIFORNIA FUNDING FOR CELL LINES THAT ARE  
25 DEPOSITED THAT HAVE BEEN APPROVED BY BOTH THE NIH AND

1 THE UK LICENSING BOARD FROM PEOPLE WHO DID NOT CONSENT  
2 TO HAVE THEIR GAMETES USED FOR STEM CELL RESEARCH.

3 I THINK IT'S A PRETTY SMALL PERCENTAGE OF  
4 LINES THAT ARE BANKED; BUT I THINK IF WE ARE GOING TO  
5 GRANDFATHER THESE LINES IN, WE HAVE TO ACCEPT THAT  
6 WE'RE GRANDFATHERING IN SOME AMBIGUITY FOR THE  
7 ANONYMOUS DONORS WITH RESPECT TO THE OUTCOME OF THEIR  
8 DONATION.

9 DR. KORDOWER: ARE THERE ANY PARTICULAR ITEMS  
10 THAT THEY HAVE EXCLUDED? HAVE THEY SAID YOU CAN'T USE  
11 IT FOR OTHER RESEARCH?

12 DR. KIESSLING: THE WAY -- THIS IS SO  
13 HISTORIC, THAT THE WAY THIS HAS WORKED OUT, SOMEBODY  
14 DONATED THEIR EGGS OR THEIR SPERM FOR INFERTILITY.  
15 THAT WAS -- MOST INFERTILITY CLINICS HAVE IN PLACE THAT  
16 IT'S POSSIBLE IF THESE EMBRYOS ARE NOT GOING TO BE USED  
17 FOR FAMILY BUILDING, THAT THEY WILL BE DONATED FOR  
18 RESEARCH. NOBODY -- ALMOST NO CONSENT FORMS PRIOR TO  
19 LIKE THREE OR FOUR YEARS AGO HAD IN THERE THAT THE  
20 RESEARCH WAS GOING TO BE STEM CELL RESEARCH. SO THIS  
21 IS A GRAY AREA THAT ENCOMPASSES ALMOST ALL, BUT I THINK  
22 IT'S A FAIRLY SMALL PERCENTAGE OF THESE LINES THAT  
23 ACTUALLY HAVE BEEN DERIVED FROM ANONYMOUS DONORS.

24 THE LINES THAT HAVE BEEN DERIVED FROM  
25 COUPLES, I THINK THE CONSENTING PROCESS, THEY'VE GONE

1 BACK TO THOSE COUPLES, AND I THINK THAT THOSE PEOPLE  
2 CONSENTED. I THINK THAT'S PRETTY CLEAR. SO IF WE'RE  
3 WILLING TO LIVE WITH AMBIGUITY FOR THE SMALL PERCENTAGE  
4 OF THESE LINES THAT CAME FROM ANONYMOUS DONORS THAT DID  
5 NOT GIVE CONSENT, AND THERE'S PROBABLY NO WAY TO GET  
6 THIS, GIVE CONSENT FOR STEM CELL RESEARCH, THEN WE'RE  
7 FINE. IF WE'RE UNCOMFORTABLE WITH THAT, THEN WE MAY  
8 NEED TO FIND OUT WHICH LINES ARE FROM ANONYMOUS DONORS.

9 CO-CHAIR LO: LET ME JUST SAY THAT THE NIH  
10 PROCESS IS A PUBLIC GOVERNMENTAL PROCESS. I THINK  
11 THERE WAS A POLICY DECISION MADE AT NIH AND BY THE  
12 ADMINISTRATION TO ALLOW THOSE LINES TO BE USED FOR  
13 RESEARCH. SO IN A SENSE THERE'S SOME PRECEDENT FOR  
14 SAYING THAT IN LIGHT OF THIS AMBIGUITY, GIVEN THAT THE  
15 LINES WERE DERIVED AT A HISTORICAL POINT IN TIME, THAT  
16 THE PROCESS WAS DEEMED ACCEPTABLE BY THE FEDERAL  
17 GOVERNMENT.

18 DR. EGGAN: I WONDER IF HFEA HAS ALSO  
19 SPECIFICALLY TAKEN UP THIS QUESTION. DO YOU KNOW,  
20 ALTA?

21 MS. CHARO: THAT'S WHAT I WAS SAYING. THE  
22 APPLICATION TO DEPOSIT CELL LINES INTO THE UK STEM CELL  
23 BANK IS BASICALLY AN APPLICATION THAT SAYS DID YOU  
24 DERIVE THESE UNDER AN HFEA LICENSE? OKAY, FINE. IF  
25 NOT, CAN YOU PROVE THAT YOU MET EQUIVALENT STANDARDS TO



1 HFEA? SEE, EVERYBODY IS DOING THE SAME THING.  
2 EVERYBODY IS PLAYING THE EQUIVALENCY GAME. AND THEN IN  
3 THEIR OWN SET OF QUESTIONS TO DETERMINE EQUIVALENCY,  
4 ALL I CAN TELL YOU IS THAT THEY OMITTED ANY QUESTION  
5 ABOUT SPECIFICALLY OBTAINING CONSENT FROM BACKGROUND  
6 PROBABLY ANONYMOUS EGG AND SPERM DONORS, WHICH SUGGESTS  
7 THEY DID NOT SEE IT AS AN ABSOLUTELY CRUCIAL ELEMENT IN  
8 MAKING THE DERIVATION PROCESS ETHICALLY EQUIVALENT TO  
9 THE ONE THAT IS USED IN THE UK.

10 THE UK HAS HAD ANONYMOUS DONATION OF GAMETES  
11 UP UNTIL 2004, SO THE EMBRYO SUPPLY THEY WOULD HAVE  
12 BEEN WORKING WITH LOCALLY FOR THEIR OWN DERIVATIONS  
13 WOULD HAVE INCLUDED ANONYMOUS DONORS. SINCE 2004, THEY  
14 HAVE INSTITUTED A RECORDKEEPING PRACTICE THAT ALLOWS  
15 PEOPLE TO GO BACK TO THE DONORS IN A REPRODUCTIVE  
16 CONTEXT WITH ALL SORTS OF PROTECTIONS, ETC., BUT IT  
17 MEANS THEORETICALLY THEY COULD CHANGE THEIR POLICY, BUT  
18 ONLY PROSPECTIVELY, RIGHT, ONCE THEY ARE USING ONLY  
19 SOURCES OF GAMETES THAT WERE COLLECTED POST 2004 UNDER  
20 THE NEW REGIME.

21 AND THAT'S PRETTY MUCH WHERE THE NAS WAS KIND  
22 OF COMING FROM TOO; THAT IS, PROSPECTIVELY LET'S NOT --  
23 LET'S START ASKING SPERM DONORS AND EGG DONORS IF THEY  
24 WANT TO ALLOW FOR SUBSEQUENT RESEARCH USE OF UNUSED  
25 EMBRYOS. NOBODY HAS COMMITTED A FRAUD AGAINST THESE

1 ANONYMOUS DONORS. NOBODY ACTUALLY TOOK THEIR GAMETES  
2 AND THEN DIVERTED THEM AWAY FOR REPRODUCTIVE PURPOSES.  
3 THEY WERE ALL USED IN AN ATTEMPT AT A REPRODUCTIVE  
4 PURPOSE. THERE'S BEEN AN ABANDONMENT OF THE ATTEMPT  
5 FOR SINCERE REASONS, AND NOW IT IS ABOUT WHAT YOU DO  
6 WITH THE DISCARDED MATERIAL. IT'S ALMOST LIKE THE  
7 QUESTION OF WHAT YOU CAN DO WITH -- I'M ALMOST SCARED  
8 TO SAY THIS. I'M ON A TRANSCRIPT. MAYBE I WON'T SAY  
9 IT -- BUT THERE ARE LOTS OF THINGS THAT WE DISCARD, AND  
10 WE LOSE CONTROL AT A CERTAIN POINT OF WHAT WE DISCARD.

11 DR. WILLERSON: I DON'T KNOW IF IT'S GOING TO  
12 HELP OR NOT, BUT WE COULD GRANDFATHER THOSE CELL LINES  
13 THAT WERE APPROVED FOR HUMAN RESEARCH OR CELL-BASED  
14 RESEARCH WHERE THAT WAS SPECIFICALLY PROVIDED OR WHERE  
15 STEM CELL RESEARCH OR CELL-BASED RESEARCH WAS NOT  
16 SPECIFICALLY EXCLUDED. THAT WOULD BE ONE WAY TO DO  
17 THIS. I THINK WE SHOULD GRANDFATHER THESE CELLS.

18 MS. CHARO: BY THE WAY, FOR THE UK, THEY WILL  
19 NOT PERMIT THE DEPOSIT OF ANY LINE IN WHICH THE DONORS  
20 HAVE ANY CONTROL OVER THE SUBSEQUENT NATURE OF THE  
21 RESEARCH. SO THERE COULDN'T HAVE BEEN ANY EXCLUSIONS  
22 ATTACHED TO ANY OF THOSE LINES BECAUSE THEY WON'T  
23 ACCEPT THE LINE TO BEGIN WITH IF THE DONORS TRY TO  
24 EXERCISE THAT DEGREE OF CONTROL.

25 DR. WILLERSON: BUT IF WE'RE FAIRLY SPECIFIC

1 ABOUT THAT, WE CAN STAY ON THE SAME GROUND, I THINK.

2 MR. KLEIN: AS I UNDERSTAND IT, OUR QUESTION  
3 POSED AT THE MOMENT IS WHETHER WE'RE GOING TO  
4 GRANDFATHER THE NIH LINES, IS IT THE HARVARD LINES AND  
5 THE ENGLISH STEM CELL BANK?

6 DR. EGGAN: WELL, THE MELTON LINES ARE IN THE  
7 PROCESS OR ALREADY ARE DEPOSITED IN THE UK STEM CELL  
8 BANK.

9 MR. KLEIN: I'D LIKE TO MAKE A MOTION TO  
10 APPROVE THE GRANDFATHERING OF THE NIH AND LINES  
11 DEPOSITED IN THE ENGLISH STEM CELL BANK.

12 DR. OLDEN: I'D SECOND THAT MOTION.

13 CO-CHAIR LO: ANY FURTHER DISCUSSION OF THIS?  
14 ANY PUBLIC COMMENT ON THIS? OKAY. STEVE, WHY DON'T  
15 YOU FORMALLY INTRODUCE YOURSELF TO THE COMMITTEE.

16 MR. PECKMAN: GOOD MORNING. I'M STEVE  
17 PECKMAN -- WELL, ACTUALLY IT'S AFTERNOON NOW -- WITH  
18 UCLA INSTITUTE FOR STEM CELL BIOLOGY AND MEDICINE,  
19 FORMERLY ASSOCIATE DIRECTOR OF THE UCLA IRB PROGRAM.

20 AND I THINK THIS HAS BEEN A VERY IMPORTANT  
21 DISCUSSION, BUT I'M A LITTLE CONFUSED AS A MEMBER OF  
22 THE PUBLIC AS EXACTLY WHAT IT IS YOU'RE GRANDFATHERING  
23 AND HOW YOU ARE GOING TO DO IT.

24 IF THE QUESTION IS AS ALTA CHARO ORIGINALLY  
25 POSITED, WHICH WAS THAT THERE ARE CERTAIN CELLS IN

1 BANKS OR CELL LINES IN BANKS FOR WHICH IT WOULD NOT BE  
2 NECESSARY FOR AN INVESTIGATOR TO FORWARD A 3-INCH  
3 BINDER WORTH OF DOCUMENTATION TO AN IRB OR AN ESCRO,  
4 THAT WOULD SEEM VERY APPROPRIATE. HOWEVER, THERE'S  
5 BEEN A LOT OF DISCUSSION ABOUT EQUIVALENCY AND OTHER  
6 THINGS THROWN INTO THE BARREL HERE WHICH TEND TO DIVERT  
7 FROM THAT ONE VERY SPECIFIC TOPIC. I WOULD HOPE THAT  
8 YOU WOULD FOCUS WHATEVER MOTION YOU'RE GOING TO HAVE  
9 SPECIFICALLY ON THE TOPIC OF THE DOCUMENTATION AN  
10 INVESTIGATOR WHO WANTS TO USE LINES FROM A SPECIFIC  
11 BANK NEEDS TO FORWARD TO A REVIEW COMMITTEE.

12 CO-CHAIR LO: YES. THAT WAS MY UNDERSTANDING  
13 OF MR. KLEIN'S MOTION.

14 MR. KLEIN: THE MOTION IS SPECIFICALLY, AS  
15 THE QUESTION SEGMENTED BY DR. BERNARD LO, THAT THESE  
16 NIH-APPROVED LINES AND LINES APPROVED FOR THE ENGLISH  
17 STEM CELL BANK WOULD BE EXEMPTED FROM THE DOCUMENTATION  
18 REQUIREMENT. AND THEN THERE'S GOING TO BE A SEPARATE  
19 DISCUSSION OF THE EQUIVALENCY ISSUE.

20 CO-CHAIR LO: YES. ANOTHER PUBLIC COMMENT.

21 MR. REED: I HAVE A PUBLIC COMMENT. I'M JUST  
22 A LITTLE FRIGHTENED OF LAWSUITS. AND IS THERE ANY WAY  
23 THAT OPPONENTS OF THE RESEARCH COULD SAY -- THEY FIND  
24 SOMEONE WHO SAYS, "OH, I WANTED MY GAMETES TO BE USED  
25 ONLY FOR MAKING BABIES. I HAD NO IDEA USED THAT THEY

1 WERE GOING TO BE THIS WAY." IS THERE A LEGAL THREAT  
2 THAT WE COULD BE HARMED BY THIS?

3 MR. PECKMAN: I'D LIKE TO REMIND EVERYONE  
4 THAT THE NIH STEM CELLS, APPROVED STEM CELLS AT NIH,  
5 SUPPOSEDLY ALREADY MEET THE CRITERIA SET FORWARD BY  
6 PRESIDENT BUSH IN HIS AUGUST SPEECH OF, I BELIEVE IT  
7 WAS, 2001, WHICH REQUIRED THERE BE CONSENT FOR RESEARCH  
8 FOR THE USE OF THOSE CELLS. ACKNOWLEDGING WHAT  
9 BERNIE'S POSITION WAS AT UCSF, THAT IT MAY BE DIFFICULT  
10 FINDING SUCH DOCUMENTATION, IT'S SOMETHING OUR OWN  
11 FEDERAL GOVERNMENT HAS ALREADY SAID MEETS THOSE  
12 STANDARDS.

13 MS. CHARO: STEVE, THE NIH REQUIRED CONSENT  
14 FROM THE PEOPLE WHO WERE, IN A SENSE, THE, QUOTE,  
15 UNQUOTE, OWNERS OF THE EMBRYOS. BUT IN MANY CASES, IF  
16 THAT WAS A COUPLE, THERE MIGHT BE IN THE BACKGROUND AN  
17 ANONYMOUS SPERM OR EGG DONOR THAT WAS NOT CONSENTED.  
18 AND THE NIH DID NOT REQUIRE THAT BACKGROUND THIRD PARTY  
19 TO BE CONTACTED. IN MANY CASES IT WOULD HAVE BEEN  
20 IMPOSSIBLE.

21 SO BERNIE'S RIGHT. IT'S NOT JUST THAT THE  
22 DOCUMENTATION IS LACKING. IT'S THAT THERE'S GENUINE  
23 UNCERTAINTY. WE'RE NOT REALLY SURE WHICH, IF ANY, OF  
24 THE EMBRYOS FROM WHICH THOSE NIH-APPROVED LINES WERE  
25 DERIVED ACTUALLY INVOLVED AN ANONYMOUS GAMETE DONOR.

1 WE JUST DON'T KNOW.

2 MR. PECKMAN: WE'RE INTO A TERRITORY WHICH IS  
3 EQUIVALENT TO ANY STORED TISSUE THAT'S IN A  
4 REFRIGERATOR OR REPOSITORY OR BANK ANYWHERE IN THIS  
5 WORLD WHERE A PATIENT HAS HAD TISSUE EXTRACTED THAT MAY  
6 BE USED FOR FUTURE RESEARCH. TO ME IT'S HARD TO  
7 DIFFERENTIATE BETWEEN THE TWO.

8 MR. REED: IS THERE A WAY THAT WE COULD SAY  
9 THAT IN SO FAR AS LEGAL PRECEDENT HAS BEEN ESTABLISHED,  
10 THAT THE UNITED STATES HAS DETERMINED THAT THESE MAY BE  
11 USED FOR RESEARCH THAT WE COULD DO SO? BUT IF THERE IS  
12 CHANGE IN THAT, THAT --

13 MR. KLEIN: WE'RE GOING THE DIRECTION OF  
14 CREATING MORE LEGAL PROBLEMS. THIS IS -- WE'RE  
15 CREATING HERE A PROCESS THAT WOULD GO THROUGH THE  
16 ADMINISTRATIVE PROCEDURES ACT AND BE ADOPTED. IT GIVES  
17 US THE BEST LEGAL PROTECTION THERE IS. IT IS NOT  
18 FOOLPROOF, BUT IT IS THE BEST SYSTEM WE CAN USE. AND  
19 THIS ACTUALLY, BY EXEMPTING THESE LINES FROM  
20 DOCUMENTATION, WOULD AVOID LEGAL CONTEST OVER THAT  
21 DOCUMENTATION.

22 CO-CHAIR LO: SO SHALL WE CALL THE QUESTION  
23 ON MR. KLEIN'S MOTION TO EXEMPT THE NIH, HFEA, AND UK  
24 STEM CELL BANK LINES FROM THE DOCUMENTATION  
25 REQUIREMENTS? ALL THOSE IN FAVOR. ANY OPPOSED? SO

1 THAT'S CARRIED UNANIMOUSLY.

2 NOW WE HAVE A POINT WHERE WE CAN GO ON WITH  
3 THIS DISCUSSION, AND I THINK THE NEXT ISSUE WOULD BE  
4 THE EQUIVALENCY STANDARDS WITH REGARD TO THE INTERIM  
5 GUIDELINES, OR IT'S, AS SOMEBODY POINTED OUT, IT'S  
6 ALREADY AFTER 12. I DON'T KNOW WHEN THE TIME FOR LUNCH  
7 IS.

8 MS. CHARO: BERNIE, MAY I ALSO OFFER A THIRD  
9 OPTION. VERY QUICK QUESTION. MAYBE IT WON'T TAKE  
10 LONG. IS IT POSSIBLE TO FIND OUT WHY THERE WAS A  
11 SECTION THAT SPECIFICALLY SAID THAT THE ESCRO CANNOT BE  
12 A SUBCOMMITTEE OF AN IRB? THAT WAS IN THE -- AND IF  
13 YOU THINK THAT'S GOING TO BE A LONG DISCUSSION, THEN  
14 THAT WOULD BE ON THE LIST OF THINGS YOU MIGHT TO DO  
15 AFTER LUNCH. IF IT WERE AN EASY QUICK ONE, IT MIGHT BE  
16 ONE WE CAN JUST GET OUT OF THE WAY BEFORE A LONG  
17 DISCUSSION ABOUT EQUIVALENCY.

18 CO-CHAIR LO: I'VE BEEN CRITICIZED FOR  
19 CHAIRING OTHER MEETINGS THAT DID NOT ALLOW APPROPRIATE  
20 BREAKS. SO I'M VERY SENSITIVE OF THE PHYSICAL NEEDS OF  
21 OUR HARDWORKING COMMITTEE. IS THERE ANYONE WHO REALLY  
22 WANTS US TO SORT TO GO AHEAD AND TRY AND DO MORE  
23 BUSINESS BEFORE LUNCH? OTHERWISE, I THINK MAYBE WE'LL  
24 START WITH ALTA'S QUESTION AFTER LUNCH TO GET US  
25 ROLLING AFTER OUR NOON BREAK. TAKE A LITTLE BREAK. I

1 THINK WE'VE HAD A PRODUCTIVE COUPLE HOURS THIS MORNING.  
2 LET'S GET SOME FOOD.

3 DR. HALL: COULD I ASK THAT WE JUST GET A  
4 SUMMARY OF WHERE WE ARE MAYBE FROM KATE OR OTHERS? WE  
5 MADE THE CHANGES ORIGINALLY SUGGESTED BY -- THROUGH THE  
6 DISCUSSION OF JAMES HARRISON; THAT IS, WE CHANGED "AND"  
7 TO "OR," AND WE INSERTED ANY OTHER SOURCE OR BY ANY  
8 OTHER PROCEDURE, AND WE ELIMINATED THAT ONE THAT SAYS  
9 NUMBER 00(B)(3). SO THAT WAS DONE. RIGHT? AND --

10 CO-CHAIR LO: SO WE CLARIFIED THE SCOPE OF TO  
11 WHOM THESE REGULATIONS APPLY THROUGH JAMES.

12 DR. HALL: TO WHICH LINES. AND THEN THE  
13 OTHER -- IS THIS THE ONLY OTHER CHANGE THAT'S BEEN  
14 MADE?

15 AND THEN THE QUESTIONS TO BE ADDRESSED AFTER  
16 LUNCH WOULD INCLUDE EQUIVALENCE.

17 CO-CHAIR LO: ALTA'S QUESTION ABOUT MAY THE  
18 ESCRO BE A SUBCOMMITTEE OF THE IRB. AND, AGAIN, THOSE  
19 WILL BE DISCUSSED IN THE CONTEXT FIRST OF THE INTERIM  
20 GUIDELINES.

21 DR. HALL: THE INTERIM. THE AIM IS TO GET  
22 THE INTERIM GUIDELINES.

23 CO-CHAIR LO: I THINK IT WOULD BE VERY  
24 IMPORTANT FOR US TO MAKE RECOMMENDATIONS ON THE INTERIM  
25 GUIDELINES AT TODAY'S MEETING. I'D LIKE TO GET TO



1 STUDY GROUP PROGRESS REPORTS JUST TO GET A SENSE OF  
2 WHAT ISSUES THEN WE NOW HAVEN' T ADDRESSED AS A WORKING  
3 GROUP GOING FORWARD TO THE DRAFT.

4 DR. HALL: OKAY. THAT SOUNDS GOOD.

5 CO-CHAIR LO: WE HAVE A LOT OF THINGS TO DO,  
6 SO I WANT YOU TO EAT WELL AND SORT OF RELAX AND COME  
7 BACK AND WORK HARD.

8 (A LUNCH RECESS WAS TAKEN.)

9 CO-CHAIR LO: GOOD AFTERNOON. WELCOME BACK.  
10 I' D LIKE TO RECONVENE US HERE FOR THE AFTERNOON SESSION  
11 OF OUR SCIENTIFIC AND MEDICAL ACCOUNTABILITY STANDARDS  
12 WORKING GROUP. AS I SEE IT, OUR TASK AT HAND IN THE  
13 AFTERNOON IS TWOFOLD. FIRST, I THINK IT WOULD BE  
14 HIGHLY DESIRABLE FOR US TO COME TO CLOSURE ON INTERIM  
15 CIRM GUIDELINES IN ORDER TO MAKE A RECOMMENDATION TO  
16 THE ICOC SO THAT THEY CAN ADOPT AND, THEREFORE, START  
17 THE 270-DAY CLOCK RUNNING AND ALLOW THE PLANS FOR  
18 FUNDING OF TRAINING GRANTS TO PROCEED.

19 AGAIN, I WANT TO REMIND US THAT IF WE DO  
20 ADOPT INTERIM GUIDELINES TODAY, WE DO NOT NEED TO  
21 RESOLVE BIG IMPORTANT ISSUES. THOSE WE CAN ADDRESS  
22 LATER AS WE WORK ON DRAFT FINAL GUIDELINES WHICH WILL  
23 GO THROUGH AN EXTENSIVE PUBLIC REVIEW PROCESS. SO I  
24 THINK THAT ONE OF THE KEY SORT OF BACKGROUND CONTEXTUAL  
25 ISSUES WE NEED TO DEAL WITH IS WHAT DO WE NEED TO DO

1 THIS AFTERNOON TO APPROVE INTERIM CIRM GUIDELINES  
2 VERSUS WHAT ISSUES ARE WE REALLY FLAGGING TO COME BACK  
3 TO LATER OVER THE COURSE OF THE NEXT SEVERAL MONTHS AS  
4 WE DRAW UP DRAFT FINAL DOCUMENTS.

5 NOW, FROM THIS MORNING'S DISCUSSION, I THINK  
6 THERE WAS A CLEAR SENSE THAT AN ISSUE WE DID NEED TO  
7 TRY AND ADDRESS FOR THESE INTERIM GUIDELINES WAS THE  
8 ISSUE OF EQUIVALENT STANDARDS, THAT WE WANTED TO  
9 ADDRESS THE ISSUE OF HAVING SOME WAY OF ALLOWING  
10 ESCRO'S TO ALLOW RESEARCH TO BE DONE UNDER CIRM  
11 FUNDING, PRESUMING UNDER THESE TRAINING GRANT  
12 MECHANISMS, FOR STEM CELL LINES THAT WERE DERIVED PRIOR  
13 TO THE NAS GUIDELINES THAT WERE ISSUED IN MAY '05.

14 SO BOB KLEIN BEFORE LUNCH HAD MADE A PROPOSAL  
15 ABOUT EQUIVALENT STANDARDS, AND STRUCTURALLY THERE WERE  
16 TWO ISSUES. ONE, SHOULD WE PUT A PROVISION IN TO  
17 FOLLOW WHAT WE DECIDED BEFORE LUNCH ABOUT  
18 GRANDFATHERING IN NIH, HFEA, AND UK STEM CELL BANK  
19 GUIDELINES. DO WE ALSO ADD GUIDELINES DERIVED UNDER  
20 EQUIVALENT -- SOMETHING LIKE EQUIVALENT STANDARDS. AND  
21 IF WE AGREE TO THAT, THE ISSUE OF SHOULD WE SPECIFY WHO  
22 MAKES THAT DETERMINATION OF WHETHER IT'S EQUIVALENT.

23 DR. HALL: LET ME JUST MAKE A POINT, AND THAT  
24 IS I THINK IT'S OBVIOUS TO ALL OF US THAT ONE OF THE --  
25 ACTUALLY ONE OF THE BIG CHALLENGES WE'LL FACE IN THIS

1 AREA OVER THE NEXT TWO MONTHS IN DISCUSSING WHAT THE  
2 FINAL RECOMMENDATION IS IS HOW TO HANDLE CELL LINES  
3 DERIVED IN DIFFERENT PLACES AND UNDER DIFFERENT  
4 STANDARDS, AND TO WHAT EXTENT SHOULD CIRM FUNDING -- WE  
5 CAN CERTAINLY MAKE STANDARDS FOR OUR OWN, AND HOW  
6 SHOULD WE HANDLE THE QUESTION OF WHETHER SCIENTISTS  
7 UNDER CIRM FUNDING CAN USE THESE OTHER LINES OR NOT.

8 AND I THINK -- LET ME JUST SAY THAT HOWEVER  
9 THAT COMES OUT, I THINK ALL OF US UNDERSTAND THAT IT  
10 WILL BE HARD TO HAVE VERY TIGHTLY PROSCRIBED STANDARDS  
11 AND SAY THESE ARE THE ONLY STANDARDS THAT WE ACCEPT  
12 BECAUSE I THINK THEN IT MAY BE VERY DIFFICULT FOR  
13 PEOPLE IN OTHER PLACES THAT MAY HAVE LEGITIMATE  
14 DIFFERENCES TO HAVE CELL LINES THAT WOULD BE ELIGIBLE  
15 FOR WORK HERE.

16 ON THE OTHER HAND, WE WILL NEED SOME MINIMAL  
17 LEVEL. SO I THINK THE QUESTION IS TO WHAT EXTENT WE  
18 ACCEPT -- WHAT AMOUNT OF DIFFERENCE WILL WE ACCEPT WILL  
19 BE A VERY DIFFICULT JOB FOR US TO WORK OUT, TO TALK  
20 ABOUT, AND TO FIGURE OUT.

21 IN THE MEANTIME WE DO HAVE THIS ISSUE OF NOT  
22 ONLY, BERNIE, NOT JUST CELL LINES THAT WERE DERIVED  
23 BEFORE THE NAS STANDARDS, BUT OF CELL LINES THAT ARE  
24 DERIVED EVEN UP UNTIL THESE STANDARDS GET ACCEPTED AS  
25 INTERIM STANDARDS OR IN THE INTERIM PERIOD. THAT IS,

1 HOW DO WE HANDLE CELL LINES DERIVED ALL THE WAY UP TO  
2 NEXT JUNE WHEN WE WILL HAVE OUR PERMANENT STANDARDS?  
3 AND THAT IS BASICALLY, I THINK, THE IMMEDIATE PROBLEM  
4 IS HOW TO GIVE OUR SCIENTISTS AS MUCH LATITUDE AS  
5 POSSIBLE TO WORK WITH HIGHLY DESIRABLE LINES THAT ARE  
6 BEING DEVELOPED NOW ALL OVER THE WORLD WITHOUT  
7 VIOLATING OUR OWN ETHICAL STANDARDS. AND WE DO NEED  
8 SOME WAY TO HANDLE THIS BETWEEN NOW AND JUNE.

9 MR. SHESTACK: ARE THERE REALLY A LARGE  
10 AMOUNT OF HIGHLY DESIRABLE CELL LINES FROM AROUND THE  
11 WORLD THAT WILL ULTIMATELY BE MADE AVAILABLE TO CIRM  
12 SCIENTISTS? DO WE KNOW THAT?

13 DR. HALL: ONE OF THE THINGS I LEARNED AT  
14 LUNCH WAS SOME OF THE RECENTLY DEVELOPED CELL LINES ARE  
15 ALREADY BEING USED IN THE UNITED STATES BY  
16 INVESTIGATORS. AND I'M SURE CALIFORNIA INVESTIGATORS  
17 WILL WANT TO USE THEM AS WELL.

18 MR. SHESTACK: THESE ARE LINES DEVELOPED IN  
19 WHAT COUNTRY?

20 DR. HALL: THE ONES I KNOW ABOUT ARE  
21 PREEMINENTLY SOUTH KOREA, ALSO --

22 MR. KLEIN: ISRAEL.

23 DR. HALL: -- ISRAEL. AUSTRALIAN CELL LINES,  
24 I DON'T KNOW -- THEY'RE CONTINUING TO DEVELOP THEM. I  
25 DON'T KNOW THAT ANY ARE CURRENTLY BEING USED HERE NOW.

1 I JUST DON'T KNOW THAT.

2 MR. KLEIN: SWEDISH.

3 DR. HALL: A NUMBER OF COUNTRIES ARE DOING  
4 THIS. SWEDEN, BRITAIN. I THINK THE ONE THAT'S ON  
5 EVERYBODY'S MIND IN PARTICULAR ARE THE SOUTH KOREAN  
6 LINES BECAUSE THEY ARE SO FAR ADVANCED WITH SCNT.  
7 THESE APPEAR TO BE, FROM WHAT I KNOW AND HAVE HEARD,  
8 DESIRABLE LINES.

9 MR. SHESTACK: AND THEY ARE MAKING THOSE  
10 AVAILABLE TO AMERICAN COLLABORATORS.

11 DR. HALL: THEY ARE MAKING THEM AVAILABLE  
12 APPARENTLY. SOME OF YOU MAY HAVE MORE INFORMATION  
13 ABOUT THIS.

14 MR. SHESTACK: COLLABORATORS OR PURCHASING  
15 THEM?

16 DR. HALL: I DON'T KNOW THE ANSWER TO THAT.

17 DR. KORDOWER: I KNOW COLLABORATORS IN  
18 PITTSBURGH ARE GETTING THOSE LINES.

19 DR. EGGAN: CERTAINLY WE'RE TRYING TO  
20 ESTABLISH A COOPERATIVE AGREEMENT WITH THEM TO GET  
21 THEIR LINES.

22 MR. SHESTACK: THAT'S SOMETHING I THINK WE  
23 ALL JUST WANT TO KNOW IS LIKE HOW MUCH ACTUAL, BEFORE  
24 WE COME INTO IT, HOW MUCH COOPERATION IS THERE ALREADY  
25 OUT THERE.

1 DR. HALL: I THINK THEIR SIGNALS TO US HAVE  
2 BEEN THAT THEY WANT TO SHARE THEIR CELL LINES. I DON'T  
3 THINK THAT'S GOING TO BE A PROBLEM.

4 DR. EGGAN: THIS IS A GENERAL PHENOMENON.  
5 MORE AND MORE GROUPS ARE BEGINNING TO DERIVE THEIR OWN  
6 LINES.

7 MS. CHARO: MORE AND MORE -- I'M SORRY.

8 DR. EGGAN: MORE AND MORE GROUPS ARE  
9 BEGINNING TO OR CONTINUE TO DERIVE THEIR OWN LINES. SO  
10 THIS IS NOT JUST LIMITED TO -- I MEAN, FOR INSTANCE,  
11 THE GROUP IN CHICAGO UNDER HERLINSKY HAS DERIVED A  
12 NUMBER OF LINES THAT WOULD BE OF GENERAL INTEREST.

13 MR. KLEIN: AS A, I THINK, KEVIN, THE PROPER  
14 NUMBER IS THAT THE INTERNATIONAL STEM CELL FORUM IS  
15 LOOKING AT CHARACTERIZING 75 LINES AT THIS TIME THAT  
16 HAVE BEEN INDIVIDUALLY IDENTIFIED AND ARE THOUGHT TO BE  
17 OF A VERY HIGH STANDARD, SO THEY'RE TRYING TO QUALIFY  
18 75 LINES UNDER THEIR STANDARDS AND PROTOCOLS.

19 DR. HALL: DOES THAT INCLUDE THE SOUTH KOREAN  
20 LINES? DOES ANYBODY KNOW? THEY WERE NOT AT THE RECENT  
21 INTERNATIONAL FORUM MEETING IN BAR HARBOR, I KNOW.  
22 DOES THAT INCLUDE --

23 DR. EGGAN: I DON'T THINK THE 73 OR 75 LINES  
24 INCLUDE THE KOREAN LINES. THEY DO NOT.

25 DR. HALL: SO THAT --

1                   MR. KLEIN:    BUT KOREA IS ON THE LIST TO BE  
2 APPROVED AT THE NEXT INTERNATIONAL FORUM MEETING; IS  
3 THAT CORRECT, KEVIN?

4                   DR. EGGAN:    (NODS.)

5                   DR. TAYLOR:    I GUESS I HAVE A QUESTION A BIT  
6 ABOUT THE CONCERN FOR SORT OF A TWO-TIERED CELL LINE  
7 SUPPLY.  MY FEELING IS THAT TO GO FORWARD, AND WE HAVE  
8 A GREAT OPPORTUNITY TO DO THAT HERE IN THIS PROGRAM,  
9 WITH CELL LINES WITH CELLS THAT HAVE NOT ONLY BEEN SORT  
10 OF OBTAINED WITH ALL OF THE DOCUMENTATION AND CONSENT,  
11 BUT ALSO THE ABILITY TO SORT OF TRACK THE DONORS INTO  
12 THE FUTURE.  AND TO HAVE THERAPEUTICALLY VALUABLE  
13 LINES, I THINK THAT'S GOING TO BE THE WHOLE DEAL.

14                   SO IF WE CAN CREATE A SYSTEM WHERE WE'VE  
15 GRANDFATHERED IN CELLS THAT ARE OF VERY HIGH QUALITY  
16 AND PROBABLY WONDERFUL CELLS TO STUDY, BUT NOT  
17 NECESSARILY THE KINDS OF CELLS WE'D WANT TO USE  
18 THERAPEUTICALLY, ARE WE SORT OF PAINTING OURSELVES INTO  
19 A BIT OF A CORNER BY HAVING THIS KIND OF POTENTIALLY, I  
20 WOULD PREDICT, WOULD BE A BIT OF TWO CLASSES OF CELLS,  
21 ONE THAT ARE HIGH QUALITY THAT WE CAN LEARN A LOT FROM,  
22 BUT MIGHT NOT BE THE WAY WE WANT TO HAVE CELLS DERIVED  
23 GOING FORWARD IN THE PROGRAM.  IF PEOPLE FEEL  
24 COMFORTABLE THAT THAT'S NOT A CONFLICT --

25                   DR. HALL:    REMEMBER, WE'RE TALKING ABOUT NINE

1 MONTHS HERE. WE'RE TALKING ABOUT STANDARDS FOR THE  
2 NEXT NINE MONTHS. WE WILL THEN SEPARATELY ADDRESS THE  
3 QUESTION OF HOW WE WANT TO --

4 DR. TAYLOR: DIDN'T WE SAY BEFORE THIS,  
5 THOUGH, THAT GRANDFATHERED CELLS WOULD BE IN THE POT,  
6 RIGHT? SO I SEE THIS AS REALLY MORE THAN NINE MONTHS.  
7 IT'S A WAY TO GET US THROUGH THE NINE MONTHS.

8 DR. HALL: I GUESS MY THOUGHT ABOUT THAT WAS  
9 THAT IT'S UNLIKELY THAT WE WILL HAVE CLINICAL TRIALS  
10 COMING THROUGH BEFORE THESE ARE DONE. AT A SUBSEQUENT  
11 TIME, IF SOMEBODY PUTS IN A GRANT FOR A CLINICAL TRIAL,  
12 I THINK FOR THEM TO SAY, OH, NO, THIS IS A CELL LINE  
13 THAT WAS APPROVED A LONG TIME AGO, I THINK THAT IT  
14 HAS -- IT WILL HAVE TO MEET THE NEW STANDARDS.

15 MR. SHESTACK: THE QUESTION IS IS IT --  
16 OBVIOUSLY YOU WANT TO GET WORK DONE NOW. THERE'S A LOT  
17 OF DISCOVERY BEFORE THERE'S THERAPEUTICS. IF YOU DO  
18 THAT, YOU'LL PROBABLY ULTIMATELY HAVE TWO STANDARDS.  
19 WE'LL HAVE TWO STANDARDS. WE'LL HAVE CIRM-DERIVED  
20 CELLS AND WHATEVER EVERYONE ELSE DOES. YOU'RE ASKING  
21 DOES THAT CREATE A PROBLEM? THERE MAY BE A PERCEPTION,  
22 BUT IT'S THE ONLY WAY THAT GETS THAT WORK DONE. IT  
23 WILL BE AWHILE BEFORE YOU HAVE GOOD CELL LINE  
24 PRODUCTION HERE.

25 CO-CHAIR LO: ALTA AND THEN SHERRY.



1 MS. CHARO: I THINK WE'RE GOING TO RUN INTO  
2 THIS DILEMMA REGARDLESS, ROB, OF WHAT WE DO. AND IT'S  
3 NOT ONLY BECAUSE THERE WILL BE SOME CELL LINES WHERE  
4 WE'VE GOT THE PERFECT TRACKING INFORMATION THAT THE FDA  
5 PREFER, ALTHOUGH NOT NECESSARILY REQUIRE. EVEN IF YOU  
6 HAD PERFECT TRACKING INFORMATION, HOW YOU MANAGE THE  
7 CELL LINES IN YOUR LABORATORY IS UNLIKELY TO MEET THE  
8 GMP, GOOD MANUFACTURING PRACTICES, STANDARDS THAT THE  
9 FDA REQUIRES WHEN YOU TAKE TISSUE FOR THERAPEUTIC  
10 TRANSPLANTATION IF ALL YOU'RE DOING IS BASIC LAB WORK.  
11 SO IN MANY CASES EVEN PERFECTLY IDENTIFIED LINES STILL  
12 WOULD WIND UP BEING NONUSABLE FOR THERAPEUTIC  
13 TRANSPLANTATION, AND YOU'D HAVE TO GO BACK TO A  
14 DIFFERENT SOURCE AND START AGAIN TO DEVELOP YOUR  
15 TRANSPLANTABLE TISSUE.

16 SO MAYBE WE SHOULDN'T FOCUS TOO MUCH  
17 ATTENTION ON TRYING TO MAKE SURE ALL THE LINES ARE  
18 POTENTIALLY USABLE FOR THERAPEUTIC TRANSPLANTATION  
19 BECAUSE I DON'T KNOW THAT WE'LL EVER BE ABLE TO GET  
20 THERE UNLESS EVERY BASIC SCIENCE EXPERIMENT IS DONE IN  
21 A GMP FACILITY, WHICH IS FINANCIALLY NOT FEASIBLE.

22 CO-CHAIR LANSING: I JUST WANT TO KIND OF  
23 SECOND WHAT ZACH WAS SAYING BECAUSE I THINK WE'RE  
24 GETTING -- I THINK ALL OF THIS IS REALLY IMPORTANT. I  
25 THINK WE'RE KIND OF DOING THE WORK THAT WE WERE

1 SUPPOSED TO DO IN THE COMMITTEE. ALL THESE INTERIM  
2 GUIDELINES ARE INTERIM AND THEY ARE FOR NINE MONTHS,  
3 AND THEY'RE REALLY JUST ALLOWING US TO GIVE OUT THE  
4 TRAINING GRANTS. AND UNLESS THERE'S SOMETHING  
5 EGREGIOUS IN THEM, WHICH I DIDN'T THINK THERE WAS, BUT  
6 MAYBE THERE IS, UNLESS THERE'S SOMETHING EGREGIOUS IN  
7 THEM, I THINK THAT WE SHOULD ADOPT THEM BECAUSE WE  
8 WON'T IN NINE MONTHS -- WE'LL BE LUCKY IF WE CAN GIVE  
9 OUT OUR GRANTS AND START ANY KIND OF REAL  
10 EXPERIMENTATION. RIGHT NOW WE'RE GIVING OUT OUR  
11 TRAINING GRANTS.

12 AND THEN WE'RE SUPPOSED TO THESE ATTACK  
13 GUIDELINES AND REALLY, YOU KNOW, ADDRESS THE MINOR  
14 PROBLEMS AND ANY BIG PROBLEMS AS WELL.

15 MR. KLEIN: SO SPECIFICALLY GETTING BACK TO  
16 OUR DISCUSSION OF WHERE WE'RE GOING, THE NIH LINES  
17 AREN'T REALLY USEFUL CLINICALLY THEMSELVES, BUT WE NEED  
18 TO HAVE THOSE INCLUDED IN OUR STUDIES BECAUSE THEY  
19 COMPRISE SO MUCH OF THE BODY OF WORK THAT'S EXISTENT.  
20 SO I WOULD LIKE TO MAKE A MOTION THAT CELL LINES THAT  
21 MEET AN EQUIVALENT STANDARD TO ENGLAND'S STEM CELL BANK  
22 OR SUCH OTHER -- AND SUCH OTHER BENCHMARK ORGANIZATION  
23 THAT THIS GROUP MAY LATER DESIGNATE, INCLUDING, FOR  
24 EXAMPLE, THE INTERNATIONAL STEM CELL FORUM, WOULD  
25 QUALIFY UNDER THIS SECTION FOR THE WAIVER OF

1 DOCUMENTATION.

2           THIS IS RELEVANT DURING THE NEXT NINE MONTHS  
3 BECAUSE, FOR EXAMPLE, THOSE 75 STEM CELL LINES THAT THE  
4 INTERNATIONAL FORUM IS DESIGNATING, I'M SUGGESTING THAT  
5 WE ADOPT THEM AS A BENCHMARK TODAY; BUT IF WE PUT IT  
6 INTO OUR INTERIM REGULATIONS, WE'LL HAVE THE ABILITY TO  
7 COME BACK AT A LATER STANDARDS MEETING AND DECIDE IF  
8 THEY'RE A BENCHMARK. IF WE DON'T PUT THEM INTO OUR  
9 INTERIM REGULATIONS, WE DON'T HAVE THE PLACEHOLDER AND  
10 WOULD HAVE TO GO BACK THROUGH THIS PROCESS. AND WE  
11 NEED TO SIGNAL, I THINK, TO THE INTERNATIONAL FORUM  
12 THAT WE'RE GOING TO SERIOUSLY LOOK AT THEIR LINES  
13 BECAUSE THEY'RE UNDERTAKING A TREMENDOUSLY BENEFICIAL  
14 ACTIVITY.

15           DR. HALL: SO THE QUESTION THOUGH, AS I  
16 UNDERSTAND IT, IS THE SOUTH KOREAN LINES RIGHT NOW ARE  
17 NOT INCLUDED IN THOSE 75. AND I GUESS THE ISSUE IS IS  
18 THERE A WAY IN WHICH WE CAN PROVIDE SOME MECHANISM THAT  
19 WOULD ALLOW THOSE LINES TO BE USED IF THEY MEET A  
20 CERTAIN STANDARD?

21           MR. KLEIN: THEY CAN BE USED. WE'RE JUST  
22 DEALING WITH WHETHER THEY NEED THE DOCUMENTATION.

23           DR. EGGAN: I THINK WHAT WE'RE SAYING IS THEY  
24 COULD BE USED, BUT THEY WOULD HAVE TO GO THROUGH THE  
25 ESCRO REVIEW MORE CAREFULLY. THEY'RE NOT AUTOMATICALLY

1 GRANDFATHERED IN AT THIS TIME.

2 CO-CHAIR LO: I THINK IT'S AN IMPORTANT  
3 DISTINCTION, BOB. WHAT THIS IS ABOUT IS WAIVING THE  
4 REQUIREMENT FOR DOCUMENTATION THAT ESCRO'S WOULD  
5 OTHERWISE HAVE TO HAVE.

6 DR. HALL: FOR THOSE TWO LINES. OTHER LINES  
7 ARE PERMISSIBLE WITH THAT DOCUMENTATION. OKAY. VERY  
8 IMPORTANT POINT.

9 CO-CHAIR LO: WE'RE GOING TO WAIVE  
10 DOCUMENTATION --

11 DR. HALL: SO WE HAVE LANGUAGE.

12 MR. SHESTACK: COULD YOU RESTATE IT, ZACH?  
13 RESTATE THIS CONVERSATION BECAUSE YOU'RE THE ONLY MIC  
14 THAT SEEMS TO WORK WELL.

15 MR. KLEIN: YOU WOULD LIKE ME TO RESTATE IT?

16 DR. HALL: LET ME JUST ASK TO REPEAT THE  
17 WHOLE SECTION. I'D LIKE TO HAVE A READING OF THE WHOLE  
18 SECTION THAT DEALS WITH THAT SO WE KNOW WHAT LINES CAN  
19 BE USED.

20 CO-CHAIR LO: CAN WE PUT THE TEXT ACTUALLY UP  
21 ON THE SCREEN?

22 MR. KLEIN: WHY DON'T I TRY TO NARRATIVELY  
23 RESTATE THAT. THE MOTION IS TO MODIFY SECTION 100002,  
24 WHICH PROVIDES FOR A WAIVER OF DOCUMENTATION  
25 REQUIREMENTS ON STEM CELL LINES. THE MOTION

1 SPECIFICALLY PROPOSES THAT STEM CELL LINES THAT ARE  
2 DEVELOPED UNDER STANDARDS EQUIVALENT TO THE ENGLISH  
3 STEM CELL BANK -- UK STEM CELL BANK, AND OTHER  
4 BENCHMARK ORGANIZATIONAL STANDARDS, SUCH AS THE  
5 INTERNATIONAL STEM CELL FORUM, IF LATER APPROVED BY THE  
6 STANDARDS COMMITTEE AS AN EQUIVALENT, WOULD QUALIFY FOR  
7 THE WAIVER OF THE DOCUMENTATION.

8 MS. CHARO: ON THE LANGUAGE UP THERE, JUST  
9 BECAUSE I SEE THERE'S A QUESTION MARK THERE ABOUT WHERE  
10 THE WORD "LICENSE" COME IN, AS I UNDERSTAND IT, THE  
11 STEM CELL LINES ARE NOT LICENSED BY THE HFEA. THE HFEA  
12 LICENSES CENTERS TO DO RESEARCH AND APPROVES RESEARCH  
13 PROTOCOLS, BUT IT DOESN'T LICENSE STEM CELL LINES. SO  
14 I THINK WHAT YOU MIGHT BE REFERRING TO THERE IS TWO  
15 SEPARATE THINGS. ONE IS LINES THAT HAVE BEEN DEPOSITED  
16 IN THE UK STEM CELL BANK BECAUSE THOSE LINES NOW HAVE  
17 MET CERTAIN CRITERIA. AND I THINK ALSO LINES THAT HAVE  
18 BEEN APPROVED FOR USE BY AN HFEA LICENSEE, BECAUSE THE  
19 LICENSEES MAY BE USING NONSTEM CELL BANK LINES, BUT  
20 PART OF THE LICENSING PROCESS INVOLVES CHECKING THAT  
21 THE LINES THEY'RE WORKING WITH ARE ACCEPTABLE. SO IT'S  
22 ACTUALLY TWO SUBTLY DIFFERENT CATEGORIES, RIGHT?

23 SO IT REALLY CREATES THREE THINGS THAT WE'RE  
24 TRYING TO -- ESSENTIALLY WE'RE TRYING TO SAY THAT THEY  
25 ARE DEEMED TO HAVE COMPLIED SUBSTANTIVELY WITH THE

1 INFORMED CONSENT AND DONOR COMPENSATION REQUIREMENTS,  
2 AND, THEREFORE, WE WILL WAIVE THE REQUIREMENT THAT THEY  
3 SUPPLY DOCUMENTATION ON THOSE TWO ISSUES, RIGHT, FOR  
4 THREE THINGS. ONE ARE THE LINES THAT THE NIH SAYS YOU  
5 CAN WORK WITH WITH NIH MONEY, OTHER LINES WHERE YOU  
6 CAN -- LINES WORKED WITH BY HFEA LICENSEES, AND THIRD,  
7 LINES THAT COME FROM THE STEM CELL BANK IN THE UK.

8 MR. KLEIN: ACTUALLY THIS LANGUAGE FALLS  
9 SHORT OF PROVIDING A WAIVER OF THIS SECTION 100002.  
10 AND THE INTENT WAS THEY WOULD HAVE A WAIVER OF THAT  
11 SECTION OF ALL THE DOCUMENTATION REQUIREMENTS.

12 MS. CHARO: THEN WE WAIVE THE DOCUMENTATION  
13 STUFF ABOUT THE PROVENANCE AND THE INFORMED CONSENT,  
14 BUT YOU WOULD STILL PROBABLY WANT -- YOU ARE STILL  
15 GOING TO NEED TO SUBMIT TO THE ESCRO THAT YOU COMPLIED  
16 WITH ANY IACUC OR IBC REVIEWS, RIGHT? THAT'S TOTALLY  
17 SEPARATE, AND THAT'S NOT GOING TO BE COVERED ELSEWHERE.

18 DR. CIBELLI: THAT'S ROUTINELY DONE FOR ANY  
19 PROJECT.

20 MS. CHARO: RIGHT. IT'S A CHECKOFF SHEET.  
21 BECAUSE THE ESCRO IS STILL GOING TO BE KEEPING TRACK OF  
22 THE WORK GOING ON IN THE INSTITUTION. IT'S JUST WE  
23 DON'T WANT THEM TO HAVE TO RECREATE THE DOSSIER ON  
24 WHERE THE DONORS CAME FROM, AND HERE'S A MODEL CONSENT  
25 FORM THAT THEY LOOKED AT, ETC.

1 MR. KLEIN: DO THEY ALSO NEED TO SEPARATELY  
2 DOCUMENT THAT THEY COMPLIED WITH INSTITUTIONAL ANIMAL  
3 CARE AND USE COMMITTEE?

4 MS. CHARO: THAT'S THE THING I DON'T THINK  
5 YOU CAN WAIVE OUT BECAUSE THE FACT THAT IT COMES FROM  
6 THE STEM CELL BANK DOESN'T TELL US ANYTHING ABOUT THE  
7 ACTUAL WORK THAT THEY'RE PLANNING TO DO WITH THE LINES,  
8 AND THE ESCRO IS GOING TO WANT TO KNOW --

9 DR. HALL: INSTITUTIONS WOULD DEMAND THAT.

10 MS. CHARO: EXACTLY. THE INSTITUTIONS ARE  
11 GOING TO DEMAND. WE'RE JUST REIFYING WHAT THEY ALREADY  
12 REQUIRE.

13 CO-CHAIR LO: CAN SOMEONE ACTUALLY TYPE IN ON  
14 THE SCREEN WHAT BOB JUST PROPOSED SO WE CAN SEE IT FOR  
15 CONTEXT?

16 DR. EGGAN: A POINT TO CLARIFY WHAT ALTA  
17 SAID. IF AN HFEA LICENSEE IS WORKING WITH A STEM CELL  
18 LINE, YOU ACTUALLY HAVE TO HAVE AN HFEA LICENSE IN THE  
19 UK TO WORK WITH EMBRYONIC STEM CELLS; IS THAT CORRECT?

20 MS. CHARO: I BELIEVE THAT IS CORRECT, YES.

21 DR. EGGAN: THIS IS THE HUMAN EMBRYO  
22 FERTILIZATION ACT.

23 MS. CHARO: YOU ABSOLUTELY DO TO DERIVE A NEW  
24 LINE.

25 DR. EGGAN: I KNOW YOU DO TO DERIVE.

1 MS. CHARO: I'M ALMOST A HUNDRED PERCENT SURE  
2 THAT YOU NEED IT JUST TO WORK WITH.

3 WHILE HE'S TYPING, THOSE OF US WITH WORKING  
4 INTERNET CONNECTIONS CAN SEE IF WE CAN CLARIFY FOR YOU,  
5 BUT I'M PRETTY SURE YOU DO, YEAH.

6 DR. EGGAN: CERTAINLY IT COULD READ THAT STEM  
7 CELLS DERIVED UNDER HFEA LICENSES WOULD ALL CERTAINLY  
8 BE ACCEPTABLE. THAT'S DESIRABLE BECAUSE IF THE  
9 ENROLLMENT TOMORROW TURNS AROUND AND MAKES A NUCLEAR  
10 TRANSPLANTATION ES CELL LINE, THEN, BAM, THAT'S  
11 IMMEDIATELY GRANDFATHERED IN TO BE ABLE TO BE USED IN  
12 CALIFORNIA. AND NEW LINES MADE IN THE UK WOULD ALL  
13 THEN BE ACCEPTABLE.

14 IT WOULD ALSO BE -- IF THAT'S TRUE, WHAT  
15 YOU'RE SAYING IS TRUE, THEN ANY CELL LINE -- FOR  
16 INSTANCE, IF DR. WILMOT SUCCEEDS IN IMPORTING THE  
17 KOREAN CELL LINES AND THAT PASSES MUSTER WITH RESPECT  
18 TO HFEA, THEN ANYTHING THAT COMES THROUGH THAT FILTER  
19 WE'RE ALSO SAYING IS OKAY. I THINK THOSE ARE TWO -- I  
20 THINK WE WANT TO CLARIFY THAT BECAUSE THAT'S TWO  
21 DIFFERENT, I THINK, LEVELS --

22 DR. HALL: BOTH VERY IMPORTANT.

23 MR. SHESTACK: WOULD THAT BE THIRD-PARTY  
24 REDISTRIBUTION?

25 DR. EGGAN: YES, THAT IS LIKE THIRD-PARTY



1 REDISTRIBUTION.

2 MR. SHESTACK: FROM THE AMERICANS.

3 DR. EGGAN: SAYING ESSENTIALLY WHAT WE NEED  
4 TO KNOW IS WHAT IS THE STRINGENCY FOR THE UK SCIENTIST  
5 TO WORK WITH ANY STEM CELL LINE. I THINK IT'S WELL  
6 ESTABLISHED WHAT'S REQUIRED FOR THEM TO DERIVE A STEM  
7 CELL LINE UNDER HFEA, AND WE'RE ALL COMFORTABLE WITH  
8 THAT. NOW THE QUESTION IS IF THEY WERE TO IMPORT A  
9 STEM CELL LINE, WHAT WOULD BE REQUIRED? IS THAT THE  
10 SAME AS REQUIREMENTS AS FOR DEPOSITING THAT IN --

11 DR. HALL: SORT OF LIKE WHAT WE WOULD ASK THE  
12 LOCAL ESCRO TO DO. WE'RE GOING TO GET HFEA TO DO, IN  
13 FACT, FOR US. THAT'S GREAT.

14 MR. SHESTACK: THEN YOU'RE TALKING ABOUT  
15 REIMPORTING THOSE LINES FROM ENGLAND?

16 DR. HALL: WE DON'T HAVE TO GET THE LINES  
17 FROM BRITAIN. WE JUST ACCEPT THEIR STANDARDS THAT THE  
18 LINES ARE ACCEPTABLE. THAT'S A GREAT IDEA. THANKS,  
19 ALTA, FOR POINTING THAT OUT. I THINK THAT MAKES A VERY  
20 STRONG ADDITION TO THE POLICY.

21 MS. CHARO: THE HFEA ACT OF 1990 WAS AIMED AT  
22 CREATION, STORAGE, AND USE OF EMBRYOS IN RESEARCH, BUT  
23 WAS AMENDED IN 2001 TO COVER STEM CELL RESEARCH.  
24 CONSEQUENTLY, THE HFEA HAS RESPONSIBILITY FOR  
25 REGULATING ALL EMBRYONIC STEM CELL RESEARCH IN THE

1 UNITED KINGDOM.

2 I'LL KEEP GOING DOWN TO SEE IF THERE'S ANY  
3 EXEMPTIONS, BUT IT SOUNDS LIKE, YEAH, IT'S  
4 COMPREHENSIVE.

5 DR. TAYLOR: I'M JUST KIND OF CURIOUS. I CAN  
6 SEE HOW WAIVERS CAN GET US THROUGH SOME OF THE  
7 DOCUMENTATION PROCESS, BUT IT WOULD SEEM TO ME THAT  
8 IACUC, IRB, AND CERTAINLY GCRC'S ALL HAVE AS PART OF  
9 THEIR MANDATE TO REVIEW THESE THINGS. SO AT SOME LEVEL  
10 I THINK WE'RE GOING TO AVOID SOME OF THE UP-FRONT  
11 HASSLES. I JUST WANT EVERYBODY TO BE ON THE SAME PAGE  
12 IN TERMS OF THE MANDATE OF REVIEW THAT'S GOING TO HAVE  
13 TO OCCUR.

14 DR. HALL: AS WAS SAID BEFORE, IT'S NOT OUR  
15 OPTION. THOSE ARE -- ACTUALLY THE OTHER THING YOU  
16 MIGHT ADD IS WHERE IT SAYS "OTHER MANDATED REVIEW"  
17 PROBABLY SHOULD BE INSTITUTIONAL REVIEW. THESE ARE ALL  
18 THINGS THAT ARE REQUIRED BY THE INSTITUTION, AND IT'S  
19 NOT OUR PREROGATIVE TO SAY YOU DON'T HAVE TO GO THROUGH  
20 YOUR INSTITUTIONAL COMMITTEES TO DO THIS. SO I  
21 THINK -- I DON'T THINK THE WORDING WILL IMPLY THAT  
22 THOSE AREN'T NECESSARY.

23 AND AS WE POINTED OUT FOR THE PIECE THAT'S UP  
24 THERE NOW, THAT IT DOES NOT EXEMPT FROM THE  
25 INSTITUTIONAL REVIEW. I DON'T THINK WE CAN DO ANYTHING

1 ABOUT THAT.

2 DR. KORDOWER: YOU WANT TO STATE THAT  
3 EXPLICITLY?

4 DR. HALL: WELL, WE CAN. I CAN'T SEE HOW THE  
5 WORDING IS GOING TO COME OUT. IT'S IMPLIED.

6 MR. SHEEHY: HUMAN STEM CELL RESEARCH  
7 REQUIRES IRB APPROVAL.

8 DR. HALL: THEY'RE DEEMED TO HAVE COMPLIED  
9 WITH THE REQUIREMENTS FOR INFORMED CONSENT AND --  
10 WHAT'S THE OTHER -- DONOR COMPENSATION, BUT IT DOES NOT  
11 SAY THAT IT'S DEEMED THEY'VE COMPLIED WITH THE  
12 INSTITUTIONAL. THAT'S A SEPARATE THING THAT STILL HAS  
13 TO BE DONE. IF YOU THINK IT'S USEFUL TO ADD IT  
14 EXPLICITLY, WE CERTAINLY CAN.

15 CO-CHAIR LO: JEFF.

16 MR. SHEEHY: ACCORDING TO STATE LAW, ALL  
17 HUMAN EMBRYONIC STEM CELL RESEARCH IN CALIFORNIA  
18 REQUIRES IRB APPROVAL ANYWAY.

19 MS. CHARO: PROP 71-FUNDED RESEARCH IS  
20 EXEMPTED FROM THAT REQUIREMENT, I THOUGHT.

21 MR. SHESTACK: I'M SORRY, WHAT?

22 MS. CHARO: I THOUGHT RESEARCH FUNDED BY PROP  
23 71 IS EXEMPTED FROM THAT STATE LAW REQUIREMENT THAT ALL  
24 EMBRYONIC STEM CELL RESEARCH GO THROUGH AN IRB.

25 MR. SHEEHY: SO YOU'RE GOING TO HAVE TWO

1 DIFFERENT ENFORCEMENT TRACKS IN THE INSTITUTION.

2 MS. CHARO: WELCOME TO CALIFORNIA.

3 MR. SHEEHY: DEPENDING ON WHETHER IT'S PROP  
4 71 FUNDED.

5 CO-CHAIR LO: JEFF, AGAIN, I THINK WE NEED TO  
6 DISTINGUISH BETWEEN WHAT REVIEW IS REQUIRED AND WHAT  
7 DOCUMENTATION NEEDS TO BE DONE. SO MY UNDERSTANDING IS  
8 WHAT WE'RE WORKING ON NOW REALLY JUST HAS TO DO WITH  
9 TWO PARTS OF APPROVAL, WHICH IS THE CONSENT AND THE  
10 COMPENSATION, AND IT'S REALLY THE DOCUMENTATION THAT  
11 THEY HAVE COMPLIED IS BEING WAIVED. ALL THE OTHER  
12 TYPES OF REVIEW THAT THE INSTITUTION OR THAT THE REST  
13 OF THESE GUIDELINES MAY IMPOSE STILL REMAIN IN PLACE.

14 DR. EGGAN: I THINK THAT AFTER THE DISCUSSION  
15 WE HAD A MOMENT AGO, IT WOULD BE DESIRABLE TO REPLACE  
16 THE WORD "DERIVED" IN SECTION B WITH "APPROVED FOR  
17 USE."

18 MS. CHARO: OR APPROVED FOR USE BY A LICENSEE  
19 OF THE HFEA. DERIVED OR APPROVED FOR USE.

20 DR. EGGAN: OR DERIVED.

21 MS. CHARO: BECAUSE YOU WANT BOTH.

22 DR. EGGAN: YES, I THINK SO.

23 DR. HALL: IF IT'S APPROVED FOR USE, YOU  
24 DON'T NEED THE DERIVED, RIGHT? EITHER ONE.

25 DR. PRIETO: PROCURED OR DERIVED. JUST

1 APPROVED FOR USE WOULD BE BETTER.

2 CO-CHAIR LO: LET'S TAKE A MINUTE TO MAKE  
3 SURE WE HAVE THE LANGUAGE CLEAR SO WE KNOW WHAT WE'RE  
4 ACTUALLY APPROVING.

5 DR. HALL: SO WE'RE TALKING ABOUT THIS,  
6 (INDICATING), RIGHT?

7 DR. PRIETO: QUESTION. DO WE WANT THAT TO BE  
8 IN THE THIRD SENTENCE WHERE IT ALSO SAYS DERIVED, WE  
9 WANT TO HAVE CONSISTENT LANGUAGE.

10 CO-CHAIR LO: BY THE WAY, LET ME SORT OF  
11 HIGHLIGHT FOR THOSE OF YOU IN THE WORKING GROUP THAT  
12 THIS ISSUE OF EQUIVALENT STANDARDS, WHO GETS TO DECIDE  
13 WHAT'S EQUIVALENT, WILL NEED TO BE ADDRESSED IN THE  
14 FINAL GUIDELINES. SO THE ISSUE IS WE'RE NOT DOING THIS  
15 TODAY. WE GOING TO NEED TO ADDRESS BETWEEN NOW AND  
16 NOVEMBER. EVEN THOUGH WE'RE NOT SETTling SOME OF THESE  
17 DIFFICULT ISSUES IN MORE DETAIL, WE WILL HAVE TO  
18 ADDRESS THEM FOR THE FINAL GUIDELINES. SO THIS MAY BE  
19 A STIMULUS TO START THINKING THROUGH HOW WE WANT THOSE  
20 FINAL GUIDELINES TO LOOK.

21 DR. CIBELLI: WILL THAT BE SPECIFIED FOR THE  
22 PUBLIC TOMORROW OR WHATEVER?

23 CO-CHAIR LANSING: YES. AT THE BEGINNING OF  
24 EVERYTHING, THAT THESE ARE SIMPLY INTERIM SO THAT WE  
25 CAN GIVE OUT THE TRAINING GRANTS. AND THAT'S PROBABLY

1 ALL WE'LL BE ABLE TO DO. THAT'S THE JOB OF THIS  
2 COMMITTEE, TO CHANGE THIS.

3 DR. HALL: MAYBE WE COULD PARTICIPATE IN THE  
4 INTERESTING DISCUSSION THAT'S GOING ON AT THE PODIUM.

5 CO-CHAIR LO: WHY DON'T YOU PUT UP WHAT  
6 YOU'VE GOT AND LET'S EXPLAIN. WHILE THEY ARE WORKING,  
7 FOR THE REST OF THE COMMITTEE, ARE THERE BURNING ISSUES  
8 IN THESE INTERIM GUIDELINES THAT WE THINK WE NEED TO  
9 ADDRESS BEFORE THE END OF THE DAY TODAY THAT WE WOULD  
10 NOT WANT TO SEE EVEN IN THE INTERIM FORM?

11 DR. PRIETO: I DON'T KNOW THAT IT'S A BURNING  
12 ISSUE, BUT I THINK IT'S A VERY VALID POINT IN THE  
13 E-MAIL THAT WE RECEIVED FROM ALTA YESTERDAY, THAT JUST  
14 THE WORDING THAT RESEARCH IS PERMISSIBLE OR PROHIBITED,  
15 TO CHANGE THAT LANGUAGE AS SHE SUGGESTED TO ELIGIBLE  
16 FOR CIRM FUNDING OR NOT ELIGIBLE FOR CIRM FUNDING SINCE  
17 WE ARE A FUNDING AGENCY. WE'RE NOT CONDUCTING THE  
18 RESEARCH OR --

19 CO-CHAIR LO: THAT'S SOMETHING WE WANT TO  
20 JUST MAKE A GENERAL --

21 DR. PRIETO: I WOULD MAKE A MOTION THAT WE  
22 MAKE THAT AS A GENERAL CHANGE THROUGHOUT THESE INTERIM  
23 REGULATIONS.

24 CO-CHAIR LO: AND ALLOW STAFF TO GO THROUGH  
25 AND MAKE THOSE CHANGES.

1 DR. EGGAN: I SECOND THAT.

2 DR. HALL: HERE, IT WOULD BE DERIVED BY OR  
3 APPROVED.

4 MS. CHARO: DERIVED BY OR APPROVED FOR USE  
5 BY.

6 DR. HALL: OR APPROVED FOR USE BY.

7 MS. CHARO: JUST TO RESPOND TO FRANCISCO, I  
8 MADE THOSE SUGGESTIONS, AND THEN ZACH HALL REMINDED ME  
9 THAT WE'RE NOW JUST ADOPTING INTERIMS. I SUSPECT WE  
10 CAN GO EITHER WAY; THAT IS, IF WE THINK IT'S ENTIRELY  
11 FEASIBLE TO JUST SUBSTITUTE ELIGIBLE FOR WHEREVER IT  
12 SAYS PERMISSIBLE, ETC., GLOBALLY, THAT'S FINE. IF WE  
13 DON'T DO IT, A SENTENCE AT THE TOP THAT JUST SAYS ALL  
14 REFERENCES HERE TO PERMISSIBILITY AND PROHIBITION ARE  
15 REFERENCES TO FUNDING CRITERIA ONLY WILL HELP US ALONG  
16 FOR NINE MONTHS UNTIL WE ACTUALLY CLEAN UP ALL THE  
17 LANGUAGE.

18 CO-CHAIR LANSING: ALL YOU NEED IS A  
19 SENTENCE.

20 DR. PRIETO: IT SEEMS TO ME THAT YOU DID THE  
21 WORK ALREADY. WE MIGHT AS WELL TAKE ADVANTAGE.

22 MS. CHARO: YOU CAN THANK NORTHWEST AIRLINES.

23 CO-CHAIR LANSING: WE'RE TALKING ABOUT THAT  
24 WE'RE A FUNDING ORGANIZATION. SO ALTA'S LINE ABOUT  
25 APPROVED FOR FUNDING, YOU KNOW, THE MEMO THAT WE GOT.

1 DR. PRIETO: ELIGIBLE FOR FUNDING.

2 CO-CHAIR LANSING: JUST DO A BLANKET

3 STATEMENT WITH THAT AND HAVE IT GO THROUGH EVERYTHING.

4 DR. HALL: SO THE POINT IS --

5 CO-CHAIR LO: LET'S HOLD THAT FOR A MINUTE

6 AND COME BACK. LET'S DEAL WITH THIS FIRST, AND THEN

7 COME BACK TO THAT AND SEE HOW WE WANT TO DEAL WITH IT.

8 SO THIS IS BOB KLEIN'S PROPOSAL WITH A LOT OF

9 FRIENDLY AMENDMENTS. LET'S ALL JUST TAKE A MINUTE TO

10 LOOK AT THAT AND SEE WHAT'S ON THE TABLE.

11 DR. HALL: I HAVE ONE QUESTION. WHEN YOU SAY

12 DOCUMENTATION HERE, IS IT UNDERSTOOD THAT IT'S

13 DOCUMENTATION FOR INFORMED CONSENT AND DONOR

14 COMPENSATION ONLY? IF NOT, PERHAPS WE SHOULD RESTATE

15 IT TO BE ABSOLUTELY CLEAR.

16 MR. KLEIN: I THINK JAMES IS ADDING THE WORDS

17 AT YOUR SUGGESTION. AND THERE WAS A SUGGESTION FROM

18 THE FLOOR, MR. CHAIRMAN, IF WE COULD HEAR THE

19 SUGGESTION FROM THE FLOOR FROM UCLA.

20 CO-CHAIR LO: STEVE, YOU WANT TO COME UP TO

21 THE MIC AND JUST INTRODUCE YOURSELF AGAIN.

22 MR. PECKMAN: STEVE PECKMAN, UCLA. THE MAIN

23 ISSUE HERE IS WHO HAS TO MAINTAIN THE DOCUMENTATION OR

24 WHO IS BEING WAIVED FROM MAINTAINING THE DOCUMENTATION.

25 THAT'S THE AWARDEE ORGANIZATION. AND SO I THINK THIS



1 HAS TO EXPLICITLY STATE THAT YOU'RE WAIVING THE  
2 REQUIREMENT OF THE AWARDEE ORGANIZATION FROM  
3 MAINTAINING THIS DOCUMENTATION. OTHERWISE YOU JUST  
4 HAVE DOCUMENTATION MAINTENANCE HANGING. WHO IS  
5 SUPPOSED -- WHO IS RESPONSIBLE FOR THIS WAIVER AND WHO  
6 IS EXEMPT FROM IT?

7 MR. KLEIN: SPECIFICALLY YOU WOULD SUGGEST  
8 THAT WHERE IT SAYS REQUIRE DOCUMENTATION, THAT I THINK  
9 YOUR PROPOSAL WAS IT BE AMENDED TO SAY SOMETHING  
10 LIKE --

11 MR. PECKMAN: THEREFORE, THE AWARDEE NEED NOT  
12 MAINTAIN OR REQUIRE THE DOCUMENTATION.

13 DR. HALL: (A) SAYS THE ESCRO COMMITTEE OR  
14 EQUIVALENT BODY RECEIVES DOCUMENTATION. AND SO IT  
15 PRESUMABLY IS THAT SAME GROUP THAT IS RELIEVED OF THE  
16 OBLIGATION.

17 MR. PECKMAN: I WOULDN'T PRESUME THAT. I  
18 WOULDN'T NECESSARILY PRESUME THAT.

19 DR. HALL: THAT IF YOU WANT TO SAY IT  
20 EXPLICITLY, IT CERTAINLY DOESN'T HARM ANYTHING TO DO  
21 THAT. I WOULD SUGGEST THAT YOU USE THE SAME LANGUAGE,  
22 HOWEVER, IN (B) THAT YOU USE IN (A) TO MAKE THAT VERY,  
23 VERY CLEAR. I'M NOT QUITE SURE WHERE IT WOULD GO IN.

24 CO-CHAIR LO: JAMES, AS THE REGULATIONS  
25 PERSON, DO YOU WANT TO SORT OF INSERT INTO (B) A SORT

1 OF WHO GETS TO HAVE IT WAIVED USING THE SAME LANGUAGE?

2 MR. KLEIN: FIVE LINES DOWN WHERE IT SAYS  
3 "AND THEREFORE DO NOT REQUIRE DOCUMENTATION," IT WOULD  
4 BE AND THEREFORE THE --

5 DR. HALL: BY THE ESCRO COMMITTEE OR  
6 EQUIVALENT BODY DESIGNATED BY THE INVESTIGATOR'S  
7 INSTITUTION.

8 MR. KLEIN: EXACTLY. HE'S A LAWYER IN THE  
9 MAKING.

10 CO-CHAIR LO: OKAY. SO THIS IS WHAT WE NOW  
11 HAVE AS AMENDED. AGAIN, THIS IS JUST FOR INTERIM  
12 GUIDELINES. ADDITIONAL CHANGES, DISCUSSION ON THIS? I  
13 THINK IT SUMMARIZES THE DISCUSSION WE HAD. OKAY.

14 LET'S MOVE THE QUESTION THEN. ALL THOSE IN  
15 FAVOR. THOSE OPPOSED? AGAIN, WE HAVE UNANIMOUS  
16 PASSAGE. THANK YOU VERY MUCH TO ALL OF YOU WHO WORKED  
17 ON THIS.

18 OKAY. THE NEXT ISSUE IS ONE THAT ALTA  
19 BROUGHT UP AND SHERRY COMMENTED ON -- FRANCISCO, ALTA,  
20 AND SHERRY HAVE ALL COMMENTED ON. THE WAY THESE  
21 INTERIM GUIDELINES ARE PHRASED, SOMETIMES IT SAYS MAY  
22 BE APPROVED OR SOMETHING, AND IT SEEMS LIKE WE'RE  
23 APPROVING THE RESEARCH, WHERE, IN FACT, ALL WE'RE DOING  
24 IS SAYING IT'S ELIGIBLE FOR FUNDING.

25 SO ONE PROPOSAL THAT SHERRY MADE WAS JUST TO

1 PUT IN A SENTENCE EARLY ON SAYING EVERY TIME WE USE  
2 LANGUAGE OF IS APPROVABLE OR MAY BE APPROVED, WE HAVE A  
3 SENTENCE THERE SAYING WHAT WE MEAN BY THAT IS ELIGIBLE  
4 FOR FUNDING. AND THEN WAIT TILL THE FINAL GUIDELINES  
5 TO KIND OF CRAFT THE LANGUAGE.

6 AN ALTERNATIVE APPROACH, WHICH IS FRANCISCO'S  
7 PROPOSAL, TO JUST DO A SEARCH AND REPLACE WITH A WORD  
8 PROCESSOR. I THINK ALTA MAY HAVE ALREADY DONE THAT.

9 DR. HALL: I THINK A SENTENCE AT THE  
10 BEGINNING THAT SAYS ADHERENCE TO THESE GUIDELINES WILL  
11 BE REQUIRED OF ALL CIRM GRANTEES AS A CONDITION OF  
12 FUNDING. I THINK THAT'S ALL YOU HAVE TO PUT.

13 AND IT COVERED IN A SECOND WAY, AS ALTA AND I  
14 WERE DISCUSSING, WE ARE PREPARING ALSO A SO-CALLED CIRM  
15 GRANTS POLICY STATEMENT, WHICH WILL BE A VERY THICK  
16 DOCUMENT THAT BASICALLY IS SORT OF MODELED ON THE NIH  
17 STATEMENT. AND, IN FACT, KEN WILL BE INTERESTED TO  
18 KNOW THAT DIANA JAEGGER IS THE PERSON WHO IS HELPING US  
19 PUT THIS TOGETHER. BUT AT ANY RATE, IT IS THE TERMS OF  
20 CONDITIONS OF OUR AWARD, AND IT STIPULATES IN ALL SORTS  
21 OF AREAS WHAT HAS TO BE DONE, WHAT THE PENALTY IS IF  
22 IT'S NOT DONE, AND WHAT THE RESPONSE WILL BE.

23 SO THIS IS OUR SORT OF ALMOST LIKE OUR  
24 CONTRACT CONDITIONS. AND SO THERE WE WILL STATE VERY  
25 CLEARLY THAT ANY GRANTEE IS EXPECTED TO ADHERE TO THESE

1 GUIDELINES AS A CONDITION OF FUNDING. SO I DON'T THINK  
2 IT'S NECESSARY AT EVERY STAGE TO SAY THAT. THOSE TWO  
3 THINGS TAKES CARE OF IT.

4 DR. PRIETO: IF YOU'RE SUGGESTING THAT AS AN  
5 ALTERNATIVE, I'LL WITHDRAW MY MOTION.

6 CO-CHAIR LANSING: MEANING TO NOW SAY THAT'S  
7 WHAT WE WANT TO DO. I MAKE THAT A MOTION, THAT WE JUST  
8 PUT ONE SENTENCE IN THE FRONT.

9 MR. KLEIN: I SECOND IT.

10 CO-CHAIR LO: ANY DISCUSSION OF ZACH'S  
11 PROPOSAL?

12 MS. CHARO: WE CAN REVISIT THE MAIN BODY  
13 LANGUAGE AFTER WE'VE ADOPTED THESE INTERIMS, RIGHT?

14 DR. HALL: THAT CAN BE RIGHT IN THE FIRST OR  
15 THE SECOND SENTENCE OF THE WHOLE THING. I THINK THAT  
16 WOULD BE A VERY USEFUL, STRONG STATEMENT AND  
17 CLARIFYING.

18 DR. CIBELLI: JUST TO POINT OUT THAT MANY OF  
19 THESE LABORATORIES WILL BE WILLING AND ANXIOUS TO WORK  
20 WITH CELL LINES THAT THE INSTITUTE WILL NOT APPROVE.  
21 SO AT SOME POINT YOU HAVE TO HAVE IN PLACE A POLICY.

22 DR. HALL: THAT'S UP TO THEM. THAT'S UP TO  
23 THE INSTITUTIONS. THEY WON'T DO IT WITH FIRM FUNDING.

24 DR. CIBELLI: NO. NO. NO. SAY THE SAME PI  
25 HAS ALREADY A PROJECT FUNDED THROUGH THE INSTITUTE AND

1 WANTS TO WORK WITH A DIFFERENT CELL LINE AT THE  
2 INSTITUTE DOES NOT APPROVE. SO HOW YOU GOING TO DIVIDE  
3 THE FUNDS? HOW ARE YOU GOING TO MAKE SURE THAT THE  
4 FUNDS ARE NOT USED IN DIFFERENT PROJECTS THAT ARE NOT  
5 ALLOCATED?

6 DR. HALL: I SUPPOSE IF WE THINK THERE'S A  
7 PROBLEM, WE GO IN AND AUDIT IT.

8 DR. EGGAN: THERE'S NO REASON WHY WE CAN'T  
9 ADOPT THE SAME SORTS OF GUIDELINES WHICH ONE WOULD  
10 ACCEPT FOR NIH FUNDING. THERE ARE WELL-PROSCRIBED  
11 RULES FOR SPONSORED RESEARCH AND PROPER SPENDING OF  
12 SPONSORED RESEARCH DOLLARS AND KEEPING ONE SPONSORED  
13 PROJECT SEPARATE FROM ANOTHER. JUST RECENTLY A NUMBER  
14 OF UNIVERSITIES HAVE GOTTEN TOGETHER AND INTERPRETED  
15 THOSE CIRCULARS RELEASED BY THE NIH AND ESTABLISHED  
16 STEM CELL FACTS AND RULES OF THE ROAD FOR DOING  
17 ELIGIBLE VERSUS INELIGIBLE RESEARCH. THERE'S NO REASON  
18 THAT SIMILAR GUIDELINES COULDN'T BE USED FOR CIRM OKAY  
19 VERSUS INELIGIBLE RESEARCH AS WELL.

20 DR. HALL: THAT WOULD ALSO COME INTO GRANTS  
21 POLICY WOULD SAY WHAT OUR POLICY WAS ABOUT THE DIVISION  
22 OF FUNDS. ACTUALLY I'D BE INTERESTED IN KNOWING MORE  
23 ABOUT THAT.

24 DR. EGGAN: I CAN E-MAIL THOSE, DEFINITELY.

25 MR. KLEIN: JAMES, CORRECT ME IF I'M WRONG,

1 BUT THOSE RULES FOR GOVERNING NIH RESEARCH, FOR  
2 EXAMPLE, TO ENSURE THERE'S ACCOUNTABILITY, WE CAN ADOPT  
3 THOSE AS CONTRACT PROVISIONS AS VERSUS REGULATIONS SO  
4 THAT THEY CAN BE MORE FLEXIBLE. THEY DON'T HAVE TO GO  
5 THROUGH THE ADMINISTRATIVE PROCEDURES ACT BECAUSE THERE  
6 ARE SPECIFIC CONTRACT CONTROLS TO SEE THAT WE HAVE  
7 COMPLIANCE WITH OUR POLICIES; IS THAT RIGHT?

8 MR. HARRISON: YOU CAN PUT THINGS LIKE THAT  
9 IN THE GRANT AGREEMENTS, CERTAINLY.

10 CO-CHAIR LO: THIS IS THE --

11 DR. HALL: SO I WOULD PUT IT RIGHT HERE AT  
12 THE TOP. ALL RESEARCH FUNDED BY THE CIRM. I'D PUT IT  
13 RIGHT THERE.

14 LET ME MAKE ANOTHER TYPO COMMENT, WHICH IS  
15 CONFUSING TO ME, JAMES. THIS (B) AND THAT (A) APPEAR  
16 IN DIFFERENT PLACES AND IN DIFFERENT WHATEVERS IN THIS.  
17 I DIDN'T UNDERSTAND AT FIRST. THAT'S JUST A SMALL  
18 THING YOU CAN WORK OUT LATER.

19 I WOULD PUT THIS RIGHT AT THE TOP.

20 CO-CHAIR LO: TAKE A MINUTE TO LOOK AT THAT.

21 DR. HALL: ALL CIRM GRANTEEES.

22 CO-CHAIR LO: ANY FURTHER DISCUSSION OF THIS  
23 MOTION?

24 MR. KLEIN: CALL FOR THE QUESTION.

25 CO-CHAIR LO: PUBLIC COMMENT?

1                   MR. PECKMAN:   STEVE PECKMAN AGAIN.   THE NEW  
2 SENTENCE, I THINK THE INTENT OF IT IS REALLY GOOD.   I  
3 THINK THAT IF YOU READ IT CAREFULLY, WHAT IT'S DOING IS  
4 THE EXACT OPPOSITE OF WHAT YOU WANT IT TO DO.   NOT THAT  
5 I DISAGREE WITH WHAT IT'S DOING.   AS IT READS RIGHT  
6 NOW, YOU'RE HOLDING ALL GRANTEE RECIPIENTS TO THE  
7 LETTER AND SPIRIT OF THESE GUIDELINES FOR ALL THEIR  
8 EMBRYONIC STEM CELL RESEARCH AND NOT JUST FOR  
9 CIRM-SPONSORED RESEARCH.   ALL CIRM GRANTEES SHALL BE  
10 REQUIRED TO ADHERE TO THIS CHAPTER AS A CONDITION OF  
11 THE RECEIPT OF FUNDING FROM THE CIRM.

12                   IF YOU WANT TO MAKE THAT APPLICABLE TO ALL  
13 STEM CELL RESEARCH IN CALIFORNIA, THAT'S CERTAINLY YOUR  
14 PREROGATIVE, BUT IT DOESN'T READ THAT WAY RIGHT NOW.

15                   DR. HALL:   THAT'S SIMPLE.   ALL YOU HAVE TO DO  
16 IS SAY ALL CIRM GRANTEES WILL BE REQUIRED TO ADHERE TO  
17 THIS CHAPTER FOR CIRM-SPONSORED RESEARCH.

18                   DR. EGGAN:   WE TALKED ABOUT THIS LAST TIME,  
19 RIGHT, AND THIS IS A QUESTION THAT WE DISCUSSED,  
20 WHETHER OR NOT WE WANT TO FORCE OTHER PEOPLE TO BE  
21 BOOTSTRAPPED INTO ADHERING TO WHAT WE THINK BY USING  
22 THE POWER OF THE CIRM WALLET.   SO I THINK WE SHOULD  
23 TAKE A SECOND TO DISCUSS THAT.   DO WE WANT TO DO THAT?  
24 I THINK THAT PEOPLE COULD DIVERGE DRAMATICALLY ON THIS  
25 ISSUE.   PEOPLE CAN SAY THAT IT'S NONE OF OUR BUSINESS

1 TO TELL PEOPLE HOW THEY SHOULD BEHAVE IF THEY'RE NOT  
2 SPENDING OUR DOLLARS.

3 THE OTHER WAY TO SAY THAT IS THAT IF YOU ARE  
4 GOING TO SPEND OUR DOLLARS, THEN YOU HAVE TO BEHAVE THE  
5 WAY WE WANT YOU TO BEHAVE ALL THE TIME.

6 CO-CHAIR LANSING: BUT ONLY WHEN YOU RECEIVE  
7 OUR DOLLARS. I DON'T THINK WE'RE SAYING ALL THE TIME  
8 UNLESS I'M MISUNDERSTANDING. WHAT WE'RE SAYING IS --

9 DR. HALL: OTHER GRANTING AGENCIES HAVE THE  
10 SAME RIGHT THAT WE DO TO SPECIFY THE RULES FOR SPENDING  
11 THEIR MONEY.

12 DR. EGGAN: NO. NO. NO. YOU'RE  
13 MISCONSTRUING WHAT I WAS SAYING. THERE'S TWO WAYS TO  
14 LOOK AT THIS. THERE'S WE CAN TELL YOU HOW TO SPEND OUR  
15 DOLLARS, OR WE CAN SAY IF YOU WANT OUR DOLLARS, WE CAN  
16 TELL YOU HOW TO ACT ALL THE TIME.

17 CO-CHAIR LANSING: NO, WE DON'T WANT THAT.

18 DR. EGGAN: THAT'S WHAT THE SENTENCE SAYS  
19 RIGHT NOW.

20 DR. HALL: WE CHANGED IT.

21 DR. PRIETO: YOU DID CHANGE IT.

22 DR. HALL: THE INTENT IS THE FIRST, NOT THE  
23 SECOND. IF NIH FOLLOWED THE SECOND, WE WOULDN'T BE  
24 HERE RIGHT NOW.

25 CO-CHAIR LANSING: ALL WE'RE SAYING IS THAT



1 IF YOU WANT OUR MONEY, THESE ARE THE RULES. YOU CAN DO  
2 ANYTHING ELSE YOU WANT WITH SOMEBODY ELSE'S MONEY.

3 DR. HALL: I THINK WE COULD END THE SENTENCE  
4 ACTUALLY RIGHT WHERE THE MARKER IS.

5 MR. SHEEHY: I THINK KEVIN HAS A POINT. THIS  
6 IS A DISCUSSION THAT WE KIND OF TOUCHED ON AT THE LAST  
7 MEETING. AND DO WE -- I PERSONALLY DIDN'T MAKE UP MY  
8 MIND ON THAT POINT, AND DO WE WANT TO DECIDE THAT  
9 TODAY? BUT, YOU KNOW, I THINK IT'S A VERY IMPORTANT  
10 POINT. ARE WE SETTING STANDARDS FOR STEM CELL RESEARCH  
11 IN CALIFORNIA? I THINK THE PUBLIC PROBABLY ASSUMES  
12 THAT WE ARE.

13 MR. KLEIN: JEFF, THE POINT THAT WAS JUST  
14 MADE BY ZACH OR BY EVEN KEVIN WAS THAT IF WE STAND UP  
15 AND SAY WE'RE TRYING TO USE OUR DOLLARS TO TELL ALL  
16 INSTITUTIONS HOW THEY BEHAVE REGARDLESS OF THE SOURCE  
17 OF FUNDING, THE NIH COULD TURN RIGHT AROUND AND SAY IF  
18 YOU ARE GOING TO RECEIVE OUR DOLLARS, YOU'RE NOW GOING  
19 TO FOLLOW OUR RULES.

20 MR. SHEEHY: I'M NOT ARGUING EITHER WAY. I  
21 DON'T THINK WE SHOULD JUST WORDSMITH THIS. I THINK WE  
22 SHOULD PROBABLY COME TO A CONCLUSION ON THIS. IF  
23 EVERYBODY FEELS LIKE THAT WE OUGHT NOT TO GO THAT WAY,  
24 THEN WE OUGHT NOT TO GO THAT WAY.

25 CO-CHAIR LANSING: IF THIS IS A BIG ISSUE,

1 THEN WE SHOULD RESERVE THIS ISSUE, WHICH IS OUR SECOND  
2 THING, FOR OUR REAL GUIDELINES.

3 DR. EGGAN: UNLESS WE CAN JUST SETTLE IT NOW.

4 DR. HALL: LET ME ASK ABOUT THE SENTENCE  
5 THAT'S ON THE BOARD. I HOPE -- I WILL ASK STEVE  
6 PECKMAN IF THIS MEETS HIS OBJECTION. I THINK IT'S THE  
7 SAME ONE KEVIN IS TALKING ABOUT. ALL CIRM GRANTEES  
8 SHALL BE REQUIRED TO ADHERE TO THIS CHAPTER FOR  
9 CIRM-SPONSORED RESEARCH. ANYTHING ELSE NEEDED?

10 CO-CHAIR LO: I THINK THAT'S CLEAR WHAT THAT  
11 SAYS. I THINK KEVIN IS RAISING THE QUESTION OF, IN  
12 FACT, DO WE WANT TO DO SOMETHING DIFFERENT THAN THAT?  
13 AND I THINK THAT'S AN ISSUE THAT I GUESS WE SHOULD  
14 DECIDE WHETHER WE WANT TO TACKLE THAT FOR THE INTERIM  
15 GUIDELINES AS OPPOSED TO SAYING IT'S PART OF THE  
16 DISCUSSION FOR THE FINAL GUIDELINES.

17 DR. EGGAN: I CAN TELL YOU MY POINT OF VIEW  
18 IS THAT, NO, WE DON'T WANT TO DO THAT. AND I'M WITH  
19 BOB ON THIS FOR EXACTLY THE REASON THAT HE SAID. MAYBE  
20 WE CAN JUST TAKE A LITTLE QUICK POLL. DOES ANYONE  
21 DISAGREE WITH THAT? DOES ANYONE STRONGLY BELIEVE THAT  
22 WE SHOULD TRY TO CONTROL WHAT EVERYBODY IS GOING TO DO?

23 DR. CIBELLI: WE'RE STILL CONTROLLING. WE'RE  
24 SETTING UP THE GUIDELINES. HOW ARE YOU GOING TO  
25 ENFORCE IT?

1 DR. EGGAN: THE WAY YOU ENFORCE IT IS TO SAY  
2 IF YOU'RE NOT GOING TO BEHAVE THAT WAY IN ALL OF YOUR  
3 RESEARCH, THEN YOU GET NO CIRM DOLLARS.

4 DR. KIESSLING: IN FACT, KEVIN, THE NIH  
5 ACTUALLY DOES THAT TO US. IF YOU'RE AN INSTITUTION  
6 RECEIVING NIH FUNDS, YOU ABSOLUTELY HAVE TO HAVE, FOR  
7 ALL THE RESEARCH YOU DO, NO MATTER HOW IT'S FUNDED, YOU  
8 HAVE TO HAVE HUMAN SUBJECTS REVIEW THAT FOLLOW THEIR  
9 GUIDELINES. SO THE NIH DOES HAVE THAT HEAVY HAND.  
10 THAT'S WHY WE'RE HERE.

11 DR. EGGAN: NO, BUT IT DOESN'T DO IT IN ALL  
12 THINGS.

13 MS. CHARO: ACTUALLY TECHNICALLY THEY DO NOT.  
14 THEY GIVE YOU SUCH A STICK, RIGHT, IF YOU DON'T HAVE AN  
15 AGREEMENT TO HAVE ALL OF YOUR NON-NIH FUNDED RESEARCH  
16 GO THROUGH AN IRB, THEN EACH PROTOCOL HAS TO HAVE A NEW  
17 SINGLE PROJECT ASSURANCE. THEY BASICALLY EXTORT FROM  
18 YOU A LEVEL OF COOPERATION THAT YOU MIGHT NOT HAVE  
19 OTHERWISE VOLUNTEERED.

20 DR. HALL: WAIT A MINUTE. FOR STEM CELL  
21 RESEARCH, RIGHT NOW FOR STEM CELL RESEARCH, THE NIH  
22 DOES NOT SAY YOU CAN'T HAVE ANY NIH MONEY IF YOU HAVE  
23 ANY RESEARCH THAT IS OUTSIDE THE FEDERAL GUIDELINES IN  
24 YOUR INSTITUTION.

25 DR. TAYLOR: AS LONG AS IT'S NOT GOING ON

1 WITHIN THE SPACE.

2 DR. HALL: THAT'S FAIR ENOUGH. THEY SAY WE  
3 DON'T PAY FOR IT. WE DON'T PAY FOR IT EITHER WITH  
4 DIRECT DOLLARS OR INDIRECT DOLLARS. WHAT THEY DON'T  
5 SAY, WHICH IS I THINK THE POINT THAT KEVIN IS MAKING,  
6 IS THAT IF YOU DO THIS, YOU LOSE YOUR ELIGIBILITY FOR  
7 NIH FUNDING ALTOGETHER.

8 I THINK THE POINT THAT WE'RE ALL TRYING TO  
9 MAKE IS THAT AS WISE AND OBJECTIVE AND SENSIBLE AS THIS  
10 BODY IS, ONE COULD IMAGINE A CIRCUMSTANCE IN WHICH WE  
11 MIGHT TAKE A POSITION THAT SOMEBODY ELSE MIGHT DISAGREE  
12 WITH. AND THE QUESTION IS WHETHER THERE'S ROOM FOR  
13 THAT TO HAPPEN OUTSIDE OUR FUNDING BASE OR NOT. I  
14 THINK WE HAVE A RIGHT, IF WE PAY THE DOLLAR, WE HAVE  
15 THE RIGHT TO CALL THE SHOTS. OTHER THAN THAT, I THINK  
16 IT'S VERY DANGEROUS IF WE TRY TO EXTEND THAT CONTROL.

17 CO-CHAIR LANSING: I WANT TO SECOND THAT  
18 BECAUSE I THINK ALL THE TAXPAYERS EXPECTED US TO DO IS  
19 TO SET UP GUIDELINES FOR HOW THEIR MONEY WAS GOING TO  
20 BE SPENT. I DON'T THINK WE'RE SUPPOSED TO BE THE  
21 POLICE DOG OF THE WORLD. WE'RE SUPPOSED TO BE FOR OUR  
22 \$3 BILLION. I THINK IT WOULD BE A TERRIBLE MISTAKE TO  
23 TRY AND DO MORE THAN THAT.

24 CO-CHAIR LO: JEFF, YOU ORIGINALLY RAISED  
25 THIS.

1                   MR. SHEEHY: I REALLY AM NOT -- I DON'T KNOW  
2 IF I'LL BE ABLE TO COME TO A CONCLUSION ON HOW I FEEL  
3 ABOUT IT TODAY, BUT JUST TO BE A LITTLE BIT OF A  
4 DEVIL'S ADVOCATE, I KIND OF DISAGREE. I THINK THE  
5 PUBLIC HAS AN UNDERSTANDING THAT STEM CELL RESEARCH IS  
6 HAPPENING IN CALIFORNIA NOW BECAUSE THEY VOTED MONEY TO  
7 THE CIRM. AND IF RESEARCH TAKES PLACE THAT THE PUBLIC  
8 DOESN'T FEEL COMFORTABLE WITH, THEN AT A MINIMUM WE'RE  
9 INVITING THE LEGISLATURE TO COME IN AND CREATE ANOTHER  
10 SET OF GUIDELINES, WHETHER THEY APPLY TO OUR FUNDING OR  
11 NOT. IT MIGHT BE SIMPLER TO TRY TO, I DON'T KNOW, BUT  
12 TO TRY TO EXERCISE BECAUSE WHO ELSE IS SITTING AROUND  
13 LOOKING AT THIS THOUGHTFULLY, METHODICALLY TRYING TO  
14 SET UP GUIDELINES FOR STEM CELL RESEARCH IN CALIFORNIA?  
15 WE'RE KIND OF OUTSIDE THE REALM. USUALLY THE  
16 GUIDELINES FOR THIS TYPE OF STUFF TAKES PLACE AT THE  
17 NIH.

18                   SO WE BASICALLY ARE ALLOWING THIS KIND OF  
19 SIDE THING GOING ON, SO YOU CAN HAVE TWO DIFFERENT  
20 RESEARCH PROJECTS, ONE FUNDED BY US UNDER CERTAIN  
21 ETHICAL GUIDELINES AND ANOTHER FUNDED PRIVATELY THAT  
22 DOESN'T HAVE OUR -- YOU KNOW, THEY CAN BE COMPENSATING  
23 DONORS, FOR INSTANCE, OR DOING OTHER THINGS THAT WE'RE  
24 NOT DOING THAT WE DON'T APPROVE OF. AND I THINK, YOU  
25 KNOW, HOW ARE WE GOING TO MAKE SURE THOSE FUNDS AREN'T

1 MIXED? HOW ARE WE GOING TO MAKE SURE THAT THAT  
2 SEPARATION TAKES PLACE? ARE WE GOING TO RELY ON  
3 INSTITUTIONAL REGULATORY BODIES SUCH AS ESCRO'S AND  
4 IRB'S TO DETERMINE THAT? I DON'T KNOW. I DON'T KNOW  
5 HOW TO RESOLVE THIS, BUT I DON'T THINK IT'S AS SIMPLE  
6 AS SAYING, WELL, YOU KNOW, ALL WE CAN CONTROL IS OUR  
7 FUNDS. WE'RE STIMULATING STEM CELL RESEARCH IN  
8 CALIFORNIA. THAT'S THE WHOLE POINT OF THE EXERCISE.  
9 WE KNOW THAT WE'RE NOT GOING TO BE ABLE TO ACCOMPLISH  
10 EVERYTHING WITH OUR THREE BILLION, BUT WE ARE GOING TO  
11 PUT THE CONTOURS ONTO WHAT TO STEM CELL RESEARCH IN  
12 CALIFORNIA LOOKS LIKE.

13 CO-CHAIR LANSING: JEFF, YOU'RE GOING TO BE  
14 GETTING GRANTS FROM ALL OVER THE WORLD. SO WHAT YOU'RE  
15 BASICALLY SAYING IS THAT IN ALL THE INSTITUTIONS ALL OF  
16 THE WORLD, IN KOREA AND ISRAEL, WHATEVER, IF THEY DON'T  
17 ADHERE EXACTLY TO OUR GUIDELINES --

18 MR. SHESTACK: NO, WE'RE NOT GETTING -- WE'RE  
19 GETTING PROPOSALS FROM CALIFORNIA.

20 CO-CHAIR LANSING: NO. YOU'RE GETTING  
21 PROPOSALS -- THEY HAVE TO DO THE WORK HERE, BUT YOU CAN  
22 GET PROPOSALS FROM OTHER PLACES. THEY HAVE TO COME  
23 HERE TO DO THE WORK.

24 DR. HALL: WELL, THEY HAVE TO BE A CALIFORNIA  
25 RESEARCH INSTITUTION IN ORDER TO GET FUNDS.

1 MR. SHESTACK: YOU MIGHT HAVE COLLABORATIONS.

2 I DON'T THINK SO.

3 DR. HALL: THAT'S A LITTLE BIT SEPARATE  
4 ISSUE. LET ME JUST SAY, JEFF, LET ME PROPOSE A THING.  
5 THAT IS, ARE YOU SAYING THAT, FOR INSTANCE, IF WE  
6 DISAGREE ABOUT COMPENSATION OF DONORS AND JDRF HAS A  
7 PROJECT SPONSORED IN CALIFORNIA, THAT WE SAY YOU CAN'T  
8 WORK ON THAT PROJECT IF THEY HAVE A DIFFERENT  
9 INTERPRETATION FROM US?

10 MR. SHEEHY: ZACH, I JUST DON'T KNOW.

11 MR. SHESTACK: THAT'S WHAT YOU HAVE TO DO IS  
12 FIGURE OUT -- WHAT I'M LISTENING TO, AND I FEEL AS MUCH  
13 AS ANYBODY ELSE, LIKE, YEAH, DO IT MY WAY. I DON'T  
14 KNOW WHAT THAT WAY IS. I'M TRYING TO FIGURE OUT WHAT A  
15 SCENARIO IS THAT YOU WOULD LIKE THIS BODY TO BE SO  
16 PROSCRIPTIVE ABOUT. WHAT IT IS -- GIVE US A SPECIFIC  
17 THING YOU WOULD LIKE TO ACCOMPLISH BY BEING  
18 PROSCRIPTIVE, AND MAYBE WE'D WANT IT TO BE THAT.

19 MR. SHEEHY: THERE'S GOING TO BE A DE FACTO  
20 REGULATORY KIND OF AIR THAT HAPPENS WITH WHATEVER WE  
21 APPROVE. IT'S GOING TO COLOR HOW AN INSTITUTION  
22 OPERATES. BUT I THINK KEVIN HAS RAISED A GOOD POINT.  
23 THE WAY THE LANGUAGE WE ORIGINALLY PUT UP THERE WAS  
24 KIND OF PROSCRIPTIVE, AND I'M NOT CONVINCED THAT THAT'S  
25 A BAD THING. I'M NOT CONVINCED IT'S A GOOD THING. BUT

1 I THINK, YOU KNOW, STATES USUALLY DON'T SET UP  
2 ENTERPRISES LIKE THIS TO DO RESEARCH LIKE THIS. AND I  
3 DON'T KNOW WHO IS GOING TO REALLY GOVERN THIS. WE'RE  
4 REALLY GOING TO FLOOD RESEARCH COFFERS WITH MONEY, AND  
5 A LOT OF STUFF IS GOING TO SPIN OFF OUT OF THIS WHAT  
6 WE'RE DOING. AND PEOPLE WILL JUST SAY, YEAH, WE GOT  
7 MONEY FROM CIRM TO START THIS. NOW WE'RE GETTING MONEY  
8 FROM SOMEWHERE ELSE TO DO THIS, THIS, AND THIS, WHICH  
9 WOULD NOT HAVE BEEN APPROVED FOR CIRM FUNDS. THIS IS  
10 THE SCENARIOS THAT I CAN SEE. WE CAN SEE THINGS THAT  
11 HAPPEN. SO I JUST THINK -- MAYBE IT'S NOT A PROBLEM.

12 CO-CHAIR LO: LET ME JUST RAISE A POINT.

13 DR. HALL: LET ME JUST ASK IF THIS DISCUSSION  
14 CAN BE DEFERRED.

15 MR. SHEEHY: THAT MAY BE THE POINT TOO. I  
16 DON'T KNOW IF IT'S SOMETHING WE CAN RESOLVE TODAY.

17 CO-CHAIR LO: I THINK, AGAIN, THIS MAY BE  
18 IMPORTANT FOR US TO COME BACK TO AS WE DRAFT OUR -- AS  
19 WE WRITE OUR FINAL INTERIM GUIDELINES.

20 LET ME JUST ALSO SAY THERE IS ANOTHER BODY  
21 THAT IS CHARGED WITH SETTING STANDARDS FOR RESEARCH ON  
22 STEM CELLS DONE IN CALIFORNIA NOT FUNDED BY CIRM.

23 MR. SHESTACK: WHAT IS THAT?

24 CO-CHAIR LO: THAT'S A BODY THAT WAS REQUIRED  
25 BY THE SECOND BILL THAT SENATOR ORTIZ SPONSORED. THAT



1 COMMITTEE HAS NOT YET FINALLY BEEN APPOINTED. I WAS  
2 ASKED TO BE A MEMBER OF IT. THE COMMITTEE HAS NEVER  
3 MET. THE FORMAL LETTERS OF APPOINTMENT HAVE NOT GONE  
4 OUT. I DON'T KNOW WHERE THAT PROCESS IS. BUT THERE IS  
5 CONTEMPLATED AN OVERSIGHT MECHANISM FOR THE NON-CIRM  
6 FUNDED RESEARCH. THERE'S QUITE A GOOD CHANCE THE WORK  
7 OF THIS COMMITTEE WILL BE MONTHS AND MONTHS AHEAD OF  
8 THAT OTHER PANEL. WHAT WE DO IS LIKELY TO HAVE AN  
9 INFLUENCE ON THAT OTHER PANEL SO THAT I THINK THE GOAL  
10 WOULD BE TO HAVE SOME SORT OF HARMONIZATION BETWEEN THE  
11 TWO SETS OF GUIDELINES. BUT HOW THAT'S GOING TO WORK  
12 OUT IS UNCLEAR AT THIS POINT.

13 MY SENSE WAS THAT THIS IS SOMETHING TO KEEP  
14 IN MIND TO COME BACK TO, BUT NOT SOMETHING WE WANT TO  
15 DO FOR THE INTERIM GUIDELINES. I DON'T THINK THERE'S  
16 ANYTHING WRONG WITH PERHAPS ASKING AT THESE PUBLIC  
17 MEETINGS TOMORROW AND IN SACRAMENTO IF THERE ARE STRONG  
18 FEELINGS FROM PEOPLE IN THE PUBLIC ABOUT THAT. BUT I  
19 THINK THE INTERIM GUIDELINES IS SOMETHING WE'RE CLEARLY  
20 SAYING WITH THE RESEARCH THAT CIRM FUNDS, THESE  
21 GUIDELINES ARE --

22 DR. HALL: THIS IS A MINIMUM STATEMENT. I  
23 DON'T THINK WE WANT TO SAY LESS THAN THIS. I THINK THE  
24 QUESTION OF WHETHER WE WANT TO SAY MORE OF IT WOULD  
25 DESERVE A LONGER DISCUSSION.

1 MR. SHEEHY: I THINK THE POINT -- IF IT'S  
2 STILL A LIVE QUESTION, I THINK THAT GETS TO WHERE WE  
3 NEED TO GO WITH IT.

4 CO-CHAIR LO: SO LET ME JUST ASK ARE THERE  
5 OTHER BURNING ISSUES IN THE INTERIM CIRM GUIDELINES  
6 THAT WE FEEL NEED TO BE ADDRESSED TODAY?

7 MR. SHESTACK: SPEAK INTO THE MICROPHONE.

8 CO-CHAIR LO: DOES ANYONE HAVE ANY PRESSING  
9 ISSUES THAT HE OR SHE FEELS WE NEED TO RESOLVE IN THE  
10 INTERIM GUIDELINES AS OPPOSED TO THE DRAFT FINAL  
11 GUIDELINES?

12 MR. SHEEHY: I DON'T UNDERSTAND WHY THE ESCRO  
13 COMMITTEE IS NOT A SUBCOMMITTEE OF THE IRB, THAT ONE  
14 LINE.

15 MR. SHESTACK: IT CAN BE. MY UNDERSTANDING  
16 IS AN ESCRO COMMITTEE CAN BE THE SAME AS AN IRB, BUT  
17 DOESN'T HAVE TO BE.

18 MR. SHEEHY: IN SECTION 100006, NO. B,  
19 BECAUSE WE KIND OF ALREADY ESTABLISHED -- I MEAN IRB'S  
20 ARE APPROVING STEM CELL RESEARCH THAT IS NOT FUNDED AT  
21 CALIFORNIA INSTITUTIONS PER CALIFORNIA STATE LAW. SO  
22 WHY DO WE TELL THEM THAT THE ESCRO HAS TO BE COMPLETELY  
23 SEPARATE FROM THE IRB? WE SHOULD JUST STRIKE THAT AND  
24 WE CAN REFINE THAT LATER.

25 DR. HALL: THIS WAS A NATIONAL ACADEMY

1 RECOMMENDATION, AND WE WERE INSTRUCTED TO -- THOSE WERE  
2 THE GUIDELINES THAT THE ICOC APPROVED, AND WE WERE  
3 INSTRUCTED TO FIND REGULATORY LANGUAGE FOR THEM. I  
4 THINK, AGAIN, THIS IS AN ISSUE THAT COULD BE DISCUSSED.  
5 IT'S NOT JUST A TECHNICAL ISSUE BECAUSE THERE ARE  
6 GENUINE ISSUES THAT CAN COME TO THE ESCRO COMMITTEE  
7 THAT MAY NOT -- THAT ARE NOT UNDER THE NORMAL PURVIEW  
8 OF THE IRB'S. FOR EXAMPLE, THE ISSUE WE REFERRED TO  
9 BEFORE, IF YOU HAVE A HUMAN CELL LINE AND SOMEBODY  
10 WANTS TO PUT IT INTO A MOUSE BLASTOCYST.

11 MR. SHEEHY: ALL EMBRYONIC STEM CELL RESEARCH  
12 IN CALIFORNIA BY STATE LAW HAS TO GO THROUGH AN IRB.

13 MS. CHARO: NOT IF IT'S FUNDED BY CIRM.

14 MR. SHEEHY: BY CIRM. I KNOW, BUT WHY DO  
15 WE -- I WOULD JUST TAKE OUT THAT SENTENCE. WHY ARE WE  
16 DICTATING ESCRO COMPENSATION? I JUST MEAN FOR THESE  
17 INTERIM GUIDELINES. I THINK IT'S A VERY COMPLICATED  
18 AND DIFFICULT ISSUE, BUT IT SEEMS WEIRD THAT WE WOULD  
19 SEPARATE THEM OFF.

20 MS. CHARO: YOU KNOW, I COULDN'T EVEN  
21 REMEMBER WHERE THIS CAME FROM, AND I HAD A LITTLE  
22 QUESTION GOING WHERE IS IT FROM. AS SOON AS I WAS  
23 REMINDED, I REMEMBERED WHY IT WAS PUT IN THERE. ONE OF  
24 THE PROBLEMS IS THAT THE CALIFORNIA LEGISLATION MADE A  
25 MISTAKE. AND IT PLACED WITHIN THE IRB'S RESPONSIBILITY

1 FOR REVIEWING THINGS THAT HAD NOTHING TO DO WITH HUMAN  
2 SUBJECTS. THEY DON'T HAVE THE RIGHT EXPERTISE TO BE  
3 REVIEWING BASIC SCIENCE LABORATORY RESEARCH THAT DOES  
4 NOT INVOLVE HUMAN SUBJECTS.

5 AND IT HAS A POLITICAL -- IT HAS A POLITICAL  
6 LAND MINE HIDDEN WITHIN IT BECAUSE THERE'S BEEN A  
7 STRUGGLE OVER THE YEARS FROM THE REAGAN TO THE BUSH SR.  
8 TO THE CLINTON TO THE CURRENT BUSH ADMINISTRATION OVER  
9 WHETHER OR NOT EMBRYOS SHOULD BE CONSIDERED HUMAN  
10 SUBJECTS.

11 AND UP UNTIL NOW, THEY ARE NOT, BUT THIS  
12 ADMINISTRATION SET UP A COMMITTEE SPECIFICALLY TO LOOK  
13 AT AMENDING THAT. AND BY PLACING EMBRYONIC STEM CELL  
14 RESEARCH, WHETHER IT'S THE STEM CELL LINES, WHICH ARE  
15 NOT EVEN EMBRYOS, OR JUST EMBRYOS UNDER THE IRB'S  
16 JURISDICTION, YOU'RE TAKING A STEP TOWARD EASING THE  
17 WAY TOWARD CLASSIFYING EMBRYOS AND EVEN EMBRYO  
18 BY-PRODUCTS AS HUMAN SUBJECTS, WHICH IMPLICATES A WHOLE  
19 NEW LEVEL. NO, THIS IS A REAL POLITICAL ISSUE. AND IF  
20 IT'S NOT NECESSARY, WHY HELP THE IRB'S TO GO BEYOND  
21 THEIR ACTUAL EXPERTISE AND THEIR CURRENT JURISDICTIONAL  
22 LINES?

23 MR. SHESTACK: THAT'S EXACTLY WHAT THESE  
24 INTERIM GUIDELINES DO.

25 MS. CHARO: THE INTERIM GUIDELINES SAY THAT

1 THE ESCRO COMMITTEE CAN HAVE A LOT OF MEMBERS ON IT  
2 THAT ARE THE SAME AS THE IRB MEMBERS; BUT BY NOT BEING  
3 A FORMAL SUBCOMMITTEE, IT MEANS THAT IT DOES NOT HAVE  
4 TO REPORT TO THE IRB. THE IRB DOES NOT HAVE TO SIGN  
5 OFF ON ITS DECISIONS.

6 NOW, IF YOU'RE A NON-CIRM FUNDED RESEARCH,  
7 YOU'RE STUCK WITH CALIFORNIA LAW, BUT WHY EXPAND  
8 CALIFORNIA LAW?

9 MR. SHESTACK: THERE ARE MANY THINGS IN THESE  
10 GUIDELINES WHERE YOU HAVE TO -- UNLESS I READ THEM  
11 INCORRECTLY, THERE ARE MANY INSTANCES WHERE RESEARCHERS  
12 HAVE TO REPORT BACK TO AN IRB.

13 MS. CHARO: FOR THINGS INVOLVING HUMAN  
14 SUBJECTS. THAT WOULD BE THE BIOLOGICAL MATERIAL  
15 DONORS, EGG, SPERM, SOMATIC CELL EMBRYOS. BUT IT'S THE  
16 ADULT INDIVIDUALS WHO ARE DONATING CELLS THAT ARE HUMAN  
17 SUBJECTS THAT HAVE TO BE PROTECTED. IT'S NOT THE  
18 CELLULAR MATERIAL THAT HAS TO BE PROTECTED.

19 MR. SHEEHY: MY POINT WOULD BE IT'S  
20 IRRELEVANT WHETHER THE CALIFORNIA LAW IS GOOD OR BAD.  
21 THE CALIFORNIA LAW EXISTS AND IT GOVERNS INSTITUTIONS  
22 IN CALIFORNIA. THIS PROHIBITION ON -- THIS DESCRIPTION  
23 OF THE RELATIONSHIP BETWEEN AN ESCRO AND AN IRB IS NOT  
24 SOMETHING THAT WE NEED TO PUT INTO OUR INTERIM  
25 GUIDELINES BECAUSE THEY ARE ALREADY HAVING TO GET IRB

1 APPROVAL FOR STEM CELL -- HUMAN EMBRYONIC STEM CELL  
2 RESEARCH ACCORDING TO STATE LAW. SO BY DEFINING THAT  
3 RELATIONSHIP IN THIS PARTICULAR THING FOR THESE INTERIM  
4 GUIDELINES JUST DOESN'T MAKE A LOT OF SENSE FOR ME. I  
5 DON'T KNOW WHY WE CAN'T LEAVE THAT SENTENCE OUT.

6 DR. EGGAN: I CAN GIVE YOU ONE REASON, AND  
7 THAT IS THAT YOU WANT CALIFORNIA INSTITUTIONS TO BE  
8 STANDARDIZED WITH OTHER INSTITUTIONS ACROSS THE UNITED  
9 STATES. AND ACROSS THE UNITED STATES PEOPLE ARE GOING  
10 TO ADOPT THE NAS GUIDELINES, NOT CALIFORNIA STATE LAW,  
11 AND THEY'RE GOING TO HAVE ESCRO'S WHICH ARE SEPARATE  
12 FROM IRB'S, AND YOU WANT TO HAVE A SIMILAR SITUATION  
13 HERE. AND IF WE, THROUGH CIRM, CAN HELP ACCOMPLISH  
14 THAT, AND I THINK THAT'S A DESIRABLE THING, AND THAT IN  
15 AND OF ITSELF FOR ME AS A SCIENTIST WOULD BE ENOUGH  
16 MOTIVATION TO REALLY WANT THAT.

17 BESIDES THE FACT THAT I COMPLETELY AGREE WITH  
18 EVERY SINGLE THING THAT ALTA JUST SAID, AND THAT IT'S  
19 CRITICAL THAT EMBRYOS NOT BE PERCEIVED AS HUMAN  
20 SUBJECTS.

21 CO-CHAIR LO: ANN AND FRANCISCO.

22 DR. PRIETO: I THINK, JEFF, THAT THE CHANCES  
23 ARE IF THIS BECOMES THE STANDARD ACROSS THE COUNTRY, I  
24 THINK THE CHANCES ARE BETTER THAT CALIFORNIA LAW COULD  
25 BE CHANGED TO CONFORM WITH THAT THAN THE FEDERAL LAW

1 WOULD BE CHANGED.

2 MR. SHEEHY: I'M JUST TRYING -- I'M JUST  
3 WONDERING, AND MAYBE STEVE CAN ILLUMINATE PART OF THIS,  
4 I'M JUST WONDERING HOW AN INSTITUTION IS TRYING TO  
5 IMPLEMENT THIS. AND IT JUST -- THESE ARE INTERIM  
6 GUIDELINES. THIS ISN'T THE FINAL STATEMENT ON IT, BUT  
7 WE DO HAVE STATE LAW THAT REQUIRES THIS RESEARCH --

8 DR. KIESSLING: FROM OUR DISCUSSIONS IN OUR  
9 STUDY GROUP, ESPECIALLY WITH JEFF, WHO HAS HAD A LOT OF  
10 EXPERIENCE DOING STEM CELL WORK IN ANIMAL MODELS, I  
11 REALIZE THAT THE ESCRO IN CIRCUMSTANCES WHERE HUMAN  
12 SUBJECTS WERE NOT INVOLVED COULD BE COMPRISED OF  
13 MEMBERS OF THE ANIMAL CARE AND USE COMMITTEE. I THINK  
14 WHAT WE'RE TRYING TO DO IS, FOR INSTANCE, IF YOU DO THE  
15 KIND OF WORK THAT JEFF DOES EVERY DAY, IT'S POSSIBLE  
16 THAT HE WOULD HAVE TO GO THROUGH AN IRB, AN ANIMAL  
17 INSTITUTIONAL COMMITTEE, AND A SEPARATE ESCRO.

18 SO WHAT YOU DON'T WANT TO DO IS PROVIDE  
19 ANOTHER LAYER OF ADMINISTRATIVE OVERSIGHT. WE'RE  
20 TRYING TO AVOID THAT AND GET THE WORK GOING. SO IT'S  
21 POSSIBLE THAT THE WAY TO FIX THIS PROBLEM IS TO NOT  
22 WORRY ABOUT WHETHER THE ESCRO IS PART OF AN IRB OR PART  
23 OF ANOTHER COMMITTEE IN THAT INSTITUTION THAT HAS THE  
24 EXPERTISE. SO YOU WOULD SIMPLY GET RID OF THE LAST TWO  
25 SENTENCES HERE IN SECTION B AND ALLOW AN INSTITUTION,

1 IF THERE'S NO HUMAN SUBJECTS INVOLVED, THEN THE ESCRO  
2 CAN BE SERVED BY MEMBERS OF THE IACUC. WOULD YOU AGREE  
3 WITH THAT, JEFF?

4 DR. KORDOWER: I AGREE WITH THAT.

5 DR. HALL: LET ME SAY THAT I WOULD STRONGLY  
6 URGE THAT WE AT THIS STAGE FOLLOW THE NATIONAL ACADEMY  
7 GUIDELINES HERE. IF WE WISH TO CHANGE IT LATER AFTER A  
8 LONG DEBATE, BUT I THINK THERE'S QUITE A SERIOUS MATTER  
9 INVOLVED IN CHANGING THIS, PARTLY FOR THE REASONS THAT  
10 KEVIN SAID. AND THAT IS THAT WE DO WANT TO BE IN TUNE  
11 WITH WHAT'S GOING ON NATIONALLY. AND THERE'S BEEN A  
12 HUGE EFFORT WITH THE NATIONAL ACADEMY GUIDELINES, I  
13 THINK, TO TRY TO ESTABLISH SOMETHING THAT MANY PEOPLE  
14 CAN AGREE ON AND THAT WILL BE USEFUL ACROSS THE  
15 COUNTRY. I DO NOT WANT TO SEE US PREMATURELY GO OFF IN  
16 ANOTHER DIRECTION. AS ALTA INDICATED, THIS IS A VERY,  
17 VERY SENSITIVE AND IMPORTANT POINT.

18 DR. KIESSLING: I THINK IF YOU READ SECTION  
19 6, SECTION B, IT CALLS FOR THE ESTABLISHMENT OF AN  
20 ESCRO COMMITTEE. IT ELIMINATES THE TWO SENTENCES THAT  
21 REFER TO IRB.

22 MR. SHESTACK: BUT THOSE TWO SENTENCES ARE  
23 ACTUALLY GOOD PROTECTIVE SENTENCES. YOU MEAN THE ESCRO  
24 COMMITTEE, HOWEVER, SHALL NOT BE A SUBCOMMITTEE OF THE  
25 IRB?



1 DR. KIESSLING: NO. NO. NO. JUST READ IT.  
2 A PREEXISTING COMMITTEE MAY SERVE THE FUNCTIONS OF THE  
3 ESCRO COMMITTEE PROVIDED THAT IT HAS THE RECOMMENDED  
4 EXPERTISE AND REPRESENTATION TO MEET THE REQUIREMENTS  
5 OF THIS SECTION. AND NOT TALK ABOUT HUMAN SUBJECTS  
6 REVIEW.

7 MR. SHESTACK: I THINK -- I WOULD ASSUME THAT  
8 THE RATIONALE WAS ACTUALLY SPECIFIED VERY INTENTIONALLY  
9 THAT AN ESCRO CANNOT BE SUBJECT TO THE AUTHORITY OF AN  
10 IRB FOR THE REASONS ALTA SUGGESTED. I'M ASSUMING  
11 THAT'S WHY THAT LANGUAGE IS IN THERE.

12 DR. KIESSLING: NO. I THINK THAT LANGUAGE IS  
13 IN THERE TO TRY TO AVOID A SECOND COMMITTEE. BUT, IN  
14 FACT, IF YOU JUST GET RID OF THE LAST TWO SENTENCES --

15 DR. TAYLOR: IT'S IN THERE TO --

16 MR. SHESTACK: WHO WROTE THE LANGUAGE? IS  
17 THAT EXACTLY ADOPTED FROM NAS, OR IS THAT SOMETHING  
18 THAT WE DRAFTED WHEN WE PUT IT IN REGULATORY LANGUAGE?

19 CO-CHAIR LANSING: IT'S PUT IT IN OUR  
20 LANGUAGE, BUT IT'S PRETTY MUCH THE SAME.

21 DR. PRIETO: I THINK THE INTENT SOUNDS LIKE  
22 IT WAS INTENDED TO BRING IT OUT FROM UNDER THE  
23 AUTHORITY OF THE IRB.

24 MS. CHARO: IT WAS INTENDED VERY SPECIFICALLY  
25 TO CLARIFY THE DIFFERENCE BETWEEN HUMAN SUBJECTS

1 RESEARCH AND NONHUMAN SUBJECTS RESEARCH BECAUSE  
2 EMBRYONIC STEM CELL RESEARCH INVOLVES BOTH. AND THERE  
3 HAD BEEN A TENDENCY TO LUMP ALL EMBRYONIC STEM CELL  
4 RESEARCH, THEREFOR, UNDER THE HEADING OF HUMAN  
5 SUBJECTS, AND IT LED TO SOME LOGICAL INCONSISTENCIES  
6 AND SOME POLITICAL CONCERNS LIKE I MENTIONED BEFORE.

7 WITH REGARD TO CALIFORNIA'S SPECIAL  
8 SITUATION, JEFF, ONE OF THE THINGS THAT A NUMBER OF  
9 PEOPLE HAVE ANTICIPATED MIGHT BE THE WAY TO COMPLY WITH  
10 CALIFORNIA LAW WAS TO DO WHAT IRB'S OFTEN DO WHEN THEY  
11 FRANKLY DO NOT HAVE THE EXPERTISE TO HANDLE SOMETHING.  
12 IF AN IRB IS PRESENTED WITH A PROTOCOL THAT HAS TO DO  
13 WITH A VERY SPECIALIZED AREA OF NEUROLOGICAL RESEARCH  
14 AND NOBODY THERE KNOWS HOW TO REVIEW IT, THEY CAN  
15 CONSTITUTE AD HOC ADVISORY GROUPS THAT WILL INCLUDE  
16 MEMBERS AND NONMEMBERS OF THE IRB THAT REVIEW THE  
17 PROTOCOL AND THEN ADVISE THE IRB.

18 SO WE HAVE BEEN ASSUMING THAT INSTITUTIONS  
19 SUBJECT TO CALIFORNIA LAW THAT REQUIRED IRB REVIEW OF  
20 PURE LAB RESEARCH OR ANIMAL RESEARCH, NEITHER OF WHICH  
21 IS WITHIN IRB PURVIEW, WOULD SIMPLY CREATE AN AD HOC  
22 COMMITTEE. IN THIS CASE THEY WOULD JUST DEFER TO THE  
23 ESCRO. THEY'D SAY, FINE, WE'VE GOT AN ESCRO. LET THEM  
24 REVIEW IT, DO THEIR THING, AND THEN ADVISE US ON THE  
25 NONHUMAN SUBJECTS ASPECTS, AND WE WILL RUBBER STAMP

1 BECAUSE UNDER CALIFORNIA LAW WE ARE REQUIRED TO BE THE  
2 DECIDING BODY, BUT IT DOESN'T MAKE ANY SENSE THAT WE'RE  
3 THE DECIDING BODY.

4 THAT WAS ONE WAY THAT THEY COULD COMPLY WITH  
5 CALIFORNIA LAW AND GET THE EXPERTISE THEY NEEDED, AND  
6 IT WAS COMPLETELY CONSISTENT WITH HAVING THE ESCRO  
7 COMMITTEE. YOU'RE ABSOLUTELY RIGHT. THE ESCRO  
8 COMMITTEE REPLICATES SOME OF THE WORK OF THE IACUC,  
9 SOME OF THE WORK OF THE IBC, AND IT WAS THERE REALLY AS  
10 A KIND OF CATCHALL, AS A SUPERVISOR SOME PEOPLE HATED  
11 BECAUSE IT SEEMS LIKE AN UNNECESSARY MIDDLE ADDED LAYER  
12 OF BUREAUCRACY. OTHER PEOPLE LOVED IT BECAUSE THEY  
13 FELT LIKE IT WAS THE ONE PLACE WHERE YOU COULD GET  
14 EVERYTHING COMING TO ONE BODY OF PEOPLE. AND SO  
15 THERE'S A REAL DEBATE ABOUT ITS USEFULNESS, BUT IT'S  
16 VERY DIFFERENT FROM AN IRB.

17 MR. SHEEHY: MY ONLY POINT IS THE PROCESS YOU  
18 JUST DESCRIBED WAS A SUBCOMMITTEE OF AN IRB. WE'RE  
19 SAYING YOU CAN'T DO THAT.

20 MS. CHARO: WHY MAKE IT INTO -- WHERE IT'S  
21 NOT REQUIRED.

22 DR. EGGAN: HERE'S ANOTHER LOOPHOLE TO GO  
23 THROUGH, AND THIS IS THE SITUATION AT HARVARD. I WOULD  
24 VERY MUCH LIKE TO READ THE LEGISLATION IN CALIFORNIA  
25 BECAUSE WHAT HARVARD HAS DECIDED IS THAT INDEED THE IRB

1 MUST REVIEW ALL STEM CELL RESEARCH, BUT ONLY TO SAY  
2 WHETHER OR NOT, INDEED, THERE IS A HUMAN SUBJECT TO  
3 PROTECT IN THAT RESEARCH. SO ALL HUMAN ES CELL  
4 RESEARCH HAS A CHANCE OF INVOLVING SOME HUMAN SUBJECT,  
5 AND THEY WANT TO SAY YES OR NO, WHETHER OR NOT THERE IS  
6 A HUMAN SUBJECT. SO, THEREFORE, THEY MUST REVIEW IT IF  
7 ONLY TO SAY WE DON'T NEED TO REVIEW IT. SO IT COULD BE  
8 THAT THE LANGUAGE IN CALIFORNIA CAN FIT SUCH A  
9 DEFINITION.

10 MS. CHARO: IT'S DECIDING THAT IT'S EXEMPTED  
11 ESSENTIALLY.

12 MR. KLEIN: ONE OF THE POSSIBILITIES HERE IS  
13 THAT THIS LANGUAGE IS HERE BECAUSE PEOPLE RECOGNIZE  
14 THAT IRB'S HAVE A LOT OF POLITICAL POWER; AND THAT  
15 UNLESS YOU SAY THEY CAN'T BE THE SUBCOMMITTEE, THAT THE  
16 IRB'S WILL FORCE JURISDICTION INTO THAT IRB. THAT'S A  
17 POSSIBILITY.

18 MR. SHEEHY: ALL I'M TRYING TO DO IS I JUST  
19 HEARD, LIKE, A DESCRIPTION OF A PROCESS. OKAY. SO THE  
20 IRB RECOGNIZES IT DOESN'T HAVE THE EXPERTISE TO COMPLY  
21 WITH CALIFORNIA STATE LAW. WE HAVE ASKED THEM TO SET  
22 UP AN ESCRO. SO THEY SAY, FINE, WE'LL JUST HAVE THE  
23 ESCRO DO THAT FOR US; BUT IN THE SAME THING WE SAY,  
24 HOWEVER, ESCRO CAN'T BE A SUBCOMMITTEE OF THE IRB, SO  
25 HOW CAN IT FULFILL AN IRB FUNCTION IF WE COMPLETELY

1 SEVERED THAT RELATIONSHIP?

2 MS. CHARO: BECAUSE IF IT'S AN AD HOC  
3 COMMITTEE, IT IS NOT A SUBCOMMITTEE OF THE IRB. IT IS  
4 NOT SUBJECT TO THE COMPLICATED 45 CFR 46 RULES ABOUT  
5 QUORUMS, ABOUT MEETINGS, ABOUT DOCUMENTATION. THERE'S  
6 A WHOLE HOST OF REGULATORY STUFF THAT GOES ALONG WITH  
7 BEING AN IRB. YOU GET AUDITED AND YOU HAVE YOUR PAPER  
8 TRAILS. IF YOU ARE A SUBCOMMITTEE, YOU'RE SUBJECT TO  
9 ALL OF THAT BECAUSE YOU'RE PART OF THE IRB. IF YOU'RE  
10 JUST AN AD HOC ADVISORY GROUP, YOU'RE NOT. ALL YOU'RE  
11 DOING AT THE END IS YOU'RE DELIVERING YOUR ADVICE TO  
12 THE IRB.

13 MR. SHEEHY: I'M STILL CONFUSED. WELL --

14 CO-CHAIR LO: LET ME TRY AND SEE IF I CAN  
15 HELP US SORT THIS OUT. THIS IS A COMPLICATED ISSUE,  
16 AND IT'S ONE WE CLEARLY HAVE TO ADDRESS IN THE FINAL  
17 DRAFT GUIDELINES.

18 STEVE PECKMAN FROM UCLA HAS THOUGHT A LOT  
19 ABOUT THIS AND ACTUALLY HAD A LITTLE PRESENTATION FOR  
20 US WHICH WE WERE GOING TO INCLUDE AS PART OF THE -- TO  
21 LEAD INTO THE WORKING GROUP. WE CAN CERTAINLY ASK HIM  
22 FOR HIS INPUT NOW WITH THE VIEW TO MAKING A DECISION AS  
23 TO WHETHER WE WANT TO CHANGE WHAT WE NOW HAVE, WHICH  
24 WAS DRAFTED BY OUR STAFF CONSISTENT WITH OUR DIRECTIVE  
25 TO TRANSLATE THE NAS RECOMMENDATIONS INTO REGULATORY

1 LANGUAGE. THE ISSUE WE'RE DISCUSSING REALLY HERE IS  
2 WHETHER FOR THE INTERIM GUIDELINES WE WISH TO CHANGE  
3 THOSE BY, FOR INSTANCE, DELETING THESE TWO SENTENCES IN  
4 SUBPART B, AND WE'VE HAD SOME DISCUSSION BACK AND FORTH  
5 ABOUT WHETHER THAT WOULD BE DESIRABLE RIGHT NOW TO  
6 DEVIATE FROM THE NAS GUIDELINES WITHOUT SORT OF GIVING  
7 THE DECISION, SORT OF THE DETAILED ANALYSIS THAT WE  
8 WOULD GIVE IT IN THE FINAL.

9 I GUESS ONE ISSUE IS HOW MUCH WE WANT TO GET  
10 INTO THIS TODAY GIVEN OUR DESIRE TO TRY AND PASS  
11 INTERIM -- RECOMMENDATIONS FOR INTERIM GUIDELINES.

12 CO-CHAIR LANSING: I'D LIKE TO MAKE A  
13 RECOMMENDATION. THIS ALL STARTED WHEN ALTA GAVE US  
14 THIS WONDERFUL PAPER AND REMINDED ALL OF US THAT WE  
15 ONLY FUND, WE'RE NOT APPROVING THE RESEARCH. AND  
16 FRANCISCO SAID, WELL, LET'S TAKE ADVANTAGE OF WHAT  
17 WE'VE GOTTEN. IT SEEMS LIKE IT'S OPENING UP A WHOLE  
18 KEG OF PEAS. MAYBE WE SHOULD JUST LEAVE IT THE WAY IT  
19 IS FOR THE INTERIM GUIDELINES AND ADDRESS THIS ISSUE AS  
20 TO DO WE WANT OUR GUIDELINES TO BE THE STANDARD  
21 GUIDELINES FOR ALL THE STATE OF CALIFORNIA OR JUST FOR  
22 THE WORK THAT WE FUND BECAUSE I THINK WE HAVE TO GET  
23 INTO THE WORK THAT WE'RE REALLY SUPPOSED TO DO AS A  
24 COMMITTEE.

25 DR. KIESSLING: WHAT IS THE PURPOSE OF THESE

1 LAST TWO SENTENCES? IT SEEMS TO ME LIKE IF WE DIDN' T  
2 HAVE THOSE, WE WOULDN' T HAVE A PROBLEM.

3 MR. SHEEHY: I DON' T SEE WHY -- WE CAN PUT  
4 THEM BACK IN IF WE FEEL LIKE WE NEED THEM.

5 DR. HALL: WELL, I THINK THERE IS A REAL  
6 DESIRE OF SOME -- IN SOME PLACES TO SET UP THE ESCRO AS  
7 A PART OF THE IRB COMMITTEE. AND I THINK ALTA HAS MADE  
8 THE POINT THAT THIS HAS VERY POWERFUL IMPLICATIONS.

9 DR. KIESSLING: THIS IS A NEGATIVE  
10 REGULATORY.

11 DR. HALL: YES. LET' S DON' T DO THIS. IF  
12 AFTER A LONG DISCUSSION WE DECIDE IT' S OKAY, WE CAN,  
13 BUT IT' S A VERY SERIOUS STEP TO TAKE.

14 MR. SHEEHY: I THINK THAT THERE' S A  
15 RELATIONSHIP BETWEEN IRB' S AND STEM CELL RESEARCH IN  
16 CALIFORNIA. AND WHY DECIDE WHAT THAT RELATIONSHIP IS  
17 WITH ESCRO' S AND WE START PUTTING CATEGORIES ON IT? WE  
18 HAVEN' T HEARD FROM ANY INSTITUTION YET WHO IS ACTUALLY  
19 DOING STEM CELL RESEARCH, HAS IRB APPROVAL OF THEIR  
20 HUMAN EMBRYONIC STEM CELL RESEARCH, AND UNDERSTAND HOW  
21 THEY THINK THAT THEY' RE GOING TO BRING IN ESCRO' S.

22 DR. HALL: I' M HAPPY TO HEAR THAT LATER,  
23 JEFF, BUT I THINK FOR RIGHT NOW THE DEFAULT CASE OUGHT  
24 TO BE TO FOLLOW THE NATIONAL STANDARD.

25 MR. SHEEHY: THEN WE' RE SAYING THAT EVERYBODY

1 WHO GETS FUNDING HAS TO SET UP AN ESCRO THAT'S  
2 COMPLETELY SEPARATE FROM THEIR IRB AND CANNOT HAVE ANY  
3 RELATIONSHIP WITH THE IRB WHICH HAS TO APPROVE ALL OF  
4 THEIR OTHER HUMAN EMBRYONIC STEM CELL RESEARCH THAT'S  
5 GOING ON ACCORDING TO STATE LAW.

6 DR. HALL: I JUST THINK THE DEFAULT CASE  
7 OUGHT TO BE THE NATIONAL STANDARD RATHER THAN TO TRY TO  
8 SET A NEW STATE STANDARD.

9 MR. SHEEHY: YOU HAVE A DIFFERENT STATE  
10 STANDARD. THAT'S THE PROBLEM.

11 MR. KLEIN: SINCE THERE ARE DIFFERENT  
12 OPINIONS, MR. CHAIRMAN, MAYBE WE COULD JUST HAVE A  
13 VOICE -- AN INDIVIDUAL ROLL CALL VOTE AND DECIDE WHICH  
14 WAY WE WANT TO GO RIGHT AT THE MOMENT BECAUSE THERE'S  
15 LEGITIMATE POINTS OF VIEW ON BOTH SIDES, AND WE'LL  
16 FIGURE OUT WHETHER WE'RE GOING TO ADDRESS IT AS AN  
17 INTERIM OR AS THE FINAL.

18 SO I THINK IT WOULD TAKE A MOTION. AND THE  
19 MOTION WOULD BE FOR US TO FOLLOW THE NATIONAL ACADEMY  
20 FOR THE INTERIM, NOT ADDRESSING THE FINAL, BUT JUST FOR  
21 THE INTERIM GUIDELINES. THAT WOULD BE THE MOTION TO  
22 TAKE A ROLL CALL. I'M HAPPY WITH EITHER OUTCOME.

23 WE'RE JUST GOING TO VOTE ON WHETHER OR NOT  
24 WE'RE GOING TO INCLUDE -- WHETHER WE'RE GOING TO STAY  
25 WITH THE NATIONAL ACADEMY STANDARDS FOR THE INTERIM



1 REGULATIONS OR NOT, AND IT'S JUST AN INDIVIDUAL ROLL  
2 CALL VOTE SINCE --

3 MR. SHESTACK: I'M SORRY. FOR THE ENTIRE  
4 INTERIM GUIDELINES OR FOR SIMPLY THE SECTION THAT JEFF  
5 HAD A QUESTION ABOUT?

6 MR. KLEIN: JUST FOR THAT SECTION. JUST THE  
7 QUESTION OF WHETHER IT CAN BE A SUBCOMMITTEE OF THE  
8 IRB.

9 DR. PRIETO: FOR SECTION 6(B).

10 MR. SHEEHY: COULD WE ACTUALLY MAKE THE  
11 MOTION MORE SUBSTANTIVE AND JUST MAKE THE MOTION  
12 WHETHER OR NOT TO REMOVE THOSE TWO SENTENCES?

13 MR. KLEIN: THAT'S FINE. I WOULD ACCEPT THAT  
14 AS AN AMENDMENT, JEFF. SO THE MOTION WILL BE WHETHER  
15 OR NOT TO REMOVE THOSE TWO SENTENCES.

16 MR. SHEEHY: YES MEANS?

17 MR. KLEIN: YES MEANS WE'LL REMOVE THEM; NO  
18 MEANS WE WON'T AT THIS TIME, BUT IT DOES NOT FORECLOSE  
19 THE ISSUE LATER. IS THERE A SECOND?

20 DR. KIESSLING: BEFORE WE --

21 DR. ROWLEY: THIS IS JANET. WHAT ARE THE  
22 CONSEQUENCES? I'M HAVING A HARD TIME FOLLOWING SOME OF  
23 THIS. SO IF YOU VOTE IN FAVOR OF THE MOTION, ARE YOU  
24 FOR MAINTAINING THE STATEMENT MORE OR LESS AS THE  
25 NATIONAL ACADEMY HAD IT OR MODIFYING IT?

1 MR. KLEIN: IF YOU VOTE IN FAVOR OF THE  
2 MOTION -- IF YOU VOTE -- THE WAY THAT THE MOTION HAS  
3 BEEN REPHRASED IS THAT IF YOU VOTE FOR THE MOTION,  
4 YOU' RE GOING TO REMOVE THE TWO SENTENCES, SO YOU ARE  
5 DEPARTING FROM THE NATIONAL ACADEMY STANDARDS.  
6 DR. KIESSLING: BEFORE WE VOTE --  
7 MR. KLEIN: THE WAY THAT JEFF HAS REPHRASED  
8 THE QUESTION, THE QUESTION IS A MOTION TO REMOVE THE  
9 TWO SENTENCES.  
10 MR. SHEEHY: FOR THE INTERIM STANDARDS.  
11 MR. KLEIN: FOR THE INTERIM STANDARDS. AND  
12 SO IF YOU VOTE FOR THIS MOTION, THEN YOU WOULD BE  
13 DEPARTING FROM THE NATIONAL ACADEMY GUIDELINES.  
14 DR. KIESSLING: BEFORE WE VOTE, CAN I, SINCE  
15 I' M THE ONE WHO SUGGESTED DOING THAT, CAN I SAY THAT  
16 I' VE BEEN CONVINCED THAT THAT' S AN IMPORTANT NEGATIVE  
17 REGULATORY AGAINST USING THE IRB?  
18 MR. SHEEHY: YOU DON' T HAVE TO VOTE WITH ME.  
19 THAT' S OKAY.  
20 DR. KIESSLING: I THINK I' M CONVINCED NOW  
21 THAT IF WE REMOVE THOSE SENTENCES, INSTITUTIONS MIGHT  
22 GIVE THIS COMMITTEE WORK TO AN IRB, AND THAT THAT COULD  
23 BE A PROBLEM.  
24 CO-CHAIR LO: IS THERE A SECOND TO THAT?  
25 DR. EGGAN: I SECOND IT.

1 CO-CHAIR LO: LET ME ASK FOR PUBLIC COMMENT.  
2 AGAIN, THIS IS ON THE ISSUE ON WHETHER FOR THE INTERIM  
3 GUIDELINES WE SHOULD REMOVE THESE TWO SENTENCES. LATER  
4 TODAY AND SUBSEQUENTLY WE WILL DISCUSS THE ISSUE OF THE  
5 RELATIONSHIP BETWEEN ESCRO'S AND IRB'S IN MUCH MORE  
6 DETAIL.

7 MR. PECKMAN: STEVE PECKMAN, UCLA. I  
8 APPRECIATE THE DISCUSSION THAT'S GOING ON REGARDING THE  
9 INTERIM GUIDELINES, AND I ALSO APPRECIATE THE  
10 IMPORTANCE OF FINALIZING INTERIM GUIDELINES FOR THE  
11 NEXT NINE MONTHS OF WORK THAT YOU HAVE TO DO. HOWEVER,  
12 I THINK THAT YOU ALSO SHOULD APPRECIATE WHAT IT TAKES  
13 FOR AN INSTITUTION TO IMPLEMENT GUIDELINES. AND FOR AN  
14 INSTITUTION TO IMPLEMENT GUIDELINES NOW THAT MAY BE  
15 CHANGED LATER IN NINE MONTHS IS LIKE GETTING A VERY BIG  
16 SHIP TO CHANGE DIRECTION IN THE MIDDLE OF THE OCEAN.  
17 AND THE AMOUNT OF WORK THAT IT'S GOING TO TAKE TO  
18 IMPLEMENT THESE GUIDELINES NOW AND THEY MAY BE  
19 FUNCTIONALLY AND VERY FUNDAMENTALLY ALTERED LATER CAN  
20 BE A VERY BIG ISSUE FOR INSTITUTIONS THAT ARE GOING TO  
21 DO THIS RESEARCH IN ORDER FOR THEM TO MAINTAIN  
22 COMPLIANCE WITH THE INTENT OF THE GUIDELINES.

23 THAT BEING SAID, I WOULD STRONGLY ENCOURAGE  
24 YOU TO WAIT ON THIS SECTION OF THE GUIDELINES UNTIL  
25 AFTER I CAN GIVE MY PRESENTATION BECAUSE I THINK THAT

1 YOU MAY HEAR SOME THINGS DIFFERENT THAN WHAT YOU'VE  
2 DISCUSSED SO FAR. AND THIS IS FROM A VERY GROUND FLOOR  
3 PERSPECTIVE IN IMPLEMENTING GUIDELINES AND HELPING  
4 RESEARCHERS CONDUCT THEIR RESEARCH AND DEALS WITH THE  
5 ISSUES OF NOT ONLY IRB REVIEW AND WHAT IRB'S ARE  
6 REQUIRED TO DO, BUT IACUC'S AND ALSO ESCRO COMMITTEES  
7 AS WELL. AS INSTITUTIONS HAVE TO IMPLEMENT ALL THESE  
8 THINGS THAT YOU ARE GOING TO CARRY OUT, THE IMPORTANCE  
9 OF GETTING SOMETHING SOLID THAT WE CAN USE AND THAT  
10 ULTIMATELY WE CAN USE OVER A LONG PERIOD OF TIME IS  
11 GOING TO BE CRUCIAL TO THE EFFECTIVE AND RESPONSIBLE  
12 SPENDING OF RESEARCH DOLLARS IN THE FUTURE.

13 MS. FOGEL: HI. I'M SUSAN FOGEL OF THE PRO  
14 CHOICE ALLIANCE FOR RESPONSIBLE RESEARCH. AND I JUST  
15 CAN'T STATE STRONGLY ENOUGH HOW IMPORTANT IT IS TO MAKE  
16 SURE THAT NOTHING YOU DO HERE UNDERMINES REPRODUCTIVE  
17 RIGHTS IN CALIFORNIA. THAT CERTAINLY WOULD BE BEYOND  
18 YOUR PURVIEW, WHETHER OR NOT YOU CAN DECIDE WHAT  
19 RESEARCH STANDARDS ARE FOR OTHER KINDS OF RESEARCH.  
20 BUT ANYTHING IN YOUR REGULATIONS THAT RECOGNIZES AN  
21 EMBRYO AS A HUMAN SUBJECT WILL GO MUCH FARTHER THAN  
22 JUST WHAT YOUR WORK IS. AND I APPRECIATE THE  
23 IMPORTANCE OF SIMPLIFYING GUIDELINES AND NOT MAKING TOO  
24 MANY LAYERS OF ADMINISTRATION, BUT YOU MAY BE AWARE  
25 THERE IS AN INITIATIVE ON OUR NOVEMBER BALLOT, PROP 73,

1 WHICH WILL REDEFINE WHEN LIFE BEGINS. AND IT'S  
2 SOMETHING ELSE YOU ALL OUGHT TO BE PAYING ATTENTION TO  
3 IN TERMS OF HOW THAT COULD IMPACT YOUR WORK OR OUR  
4 WORK. BUT TO THE EXTENT THAT CREATING TOO CLOSE A  
5 LINKAGE BETWEEN AN IRB FOR HUMAN SUBJECTS AND EMBRYOS  
6 AND ELEVATES THEIR STATUS WOULD BE REALLY DISASTROUS.  
7 THANK YOU.

8 MR. KLEIN: MR. CHAIRMAN, I PERSONALLY WOULD  
9 APPRECIATE GETTING THE MATERIALS ON PROPOSITION 73 AND  
10 THAT SPECIFIC ISSUE BEING ADDRESSED.

11 CO-CHAIR LO: SO WE CAN DISTRIBUTE TO THE  
12 REST OF THE WORKING GROUP.

13 MR. REED: I DON'T REALLY UNDERSTAND MUCH OF  
14 WHAT I'VE JUST HEARD, BUT I AM FRIGHTENED AT ANYTHING  
15 THAT COULD ALLOW FEDERAL GOVERNMENT TO TOUCH OUR  
16 RESEARCH IN ANY WAY. I THOUGHT NAS WAS REASONABLE, IT  
17 SEEMS GOOD TO ME, BUT I AM ALSO AFRAID OF WHAT'S  
18 HAPPENING AT NIH. IT SEEMS TO ME THAT THEY'RE BEING,  
19 QUOTE, UNQUOTE, STREAMLINED AND THAT MEANS UNDER MORE  
20 CENTRAL CONTROL. AND WHAT I'M HEARING ABOUT IRB'S, I  
21 HOPE THAT'S NOT IN ANY WAY TOUCHED BY THE NATIONAL  
22 GOVERNMENT.

23 LIKE I SAY, I DON'T UNDERSTAND THE ISSUE THAT  
24 YOU ARE WORKING WITH, BUT IT SEEMS TO ME IT WOULD BE A  
25 LOT EASIER TO TIGHTEN LATER, IF NEED BE, THAN TO

1 TIGHTEN DOWN TOO HARD NOW AND THEN TRY TO LOOSEN UP  
2 LATER.

3 CO-CHAIR LO: THERE WAS A SUGGESTION THAT  
4 STEVE PECKMAN MADE TO SORT OF DEFER THE MOTION BEFORE  
5 US TILL AFTER HE'S GIVEN A PRESENTATION THAT WE HAD  
6 ASKED HIM TO PREPARE WITH REGARD TO THE WORKING GROUP  
7 IN THE CONTEXT OF THE WORKING GROUP ISSUE. SO THAT'S  
8 ANOTHER OPTION.

9 ANY FURTHER DISCUSSION BY THE COMMITTEE IN  
10 LIGHT OF THE PUBLIC COMMENTS?

11 MR. SHESTACK: JEFF, ARE YOU FINE TO DEFER  
12 THIS MOTION? I, FOR ONE, WOULD LIKE TO HEAR MORE  
13 INFORMATION.

14 MR. SHEEHY: THAT ACTUALLY WOULD BE A GREAT  
15 OUTCOME. THAT WOULD BE A GREAT OUTCOME IF WE COULD GET  
16 MORE INFORMATION ABOUT THIS ISSUE BECAUSE MY FEAR IS  
17 NOT -- THERE'S NO POLITICAL ISSUE. I MEAN I INVITED  
18 STEVE TO COME TODAY. THERE ARE CONCERNS FROM  
19 INSTITUTIONS THAT ARE GOING TO IMPLEMENT THESE  
20 GUIDELINES ON THIS SPECIFIC ISSUE, AND WE'RE PUTTING IN  
21 INTERIM GUIDELINES AND WE'RE GOING TO BE GIVING THEM  
22 MONEY. AND THEY'RE SAYING THAT THESE GUIDELINES ARE  
23 GOING TO BE DIFFICULT FOR THEM TO IMPLEMENT, SO I WOULD  
24 LIKE TO HEAR WHY IT'S GOING TO BE DIFFICULT FOR THEM TO  
25 IMPLEMENT.

1 MR. SHESTACK: COULD I MAKE A SUGGESTION? I  
2 DON'T KNOW HOW YOU'RE PLANNING TO RUN THE MEETING, BUT  
3 AS WE RUN THROUGH THE SECTIONS, OBVIOUSLY PEOPLE BRING  
4 UP THEIR MAIN SPECIFIC POINTS AS IN THIS SECTION JEFF  
5 BROUGHT UP THIS. CAN WE JUST RUN THROUGH THE REST OF  
6 THE SECTIONS, SEE IF THERE'S ANYTHING REALLY SALIENT,  
7 AND THEN HEAR STEVE'S REPORT. IS THAT A WAY TO GET  
8 THROUGH THE MATERIAL? I'M JUST TRYING TO FIGURE OUT  
9 HOW WE CAN GET THROUGH THE MATERIAL.

10 DR. PRIETO: IF WE HAVE A MOTION ON THE  
11 TABLE, DO WE NEED A MOTION TO TABLE THIS?

12 MR. KLEIN: I'M PREPARED TO FOLLOW THAT  
13 SUGGESTION AND DEFER THE VOTE ON THAT MOTION UNTIL  
14 AFTER WE RUN THROUGH THE OTHER MATERIALS.

15 MR. SHESTACK: ONLY BECAUSE THERE ARE NINE  
16 OTHER SECTIONS, AND THERE MAY BE SOME REAL SALIENT  
17 COMMENTS FROM OTHER MEMBERS OF THE COMMITTEE. I DON'T  
18 KNOW.

19 CO-CHAIR LO: SOMEONE WANTS TO MOVE TO TABLE?

20 CO-CHAIR LANSING: THE THING THAT'S BOTHERING  
21 ME -- I JUST -- I LIVE IN FEAR BECAUSE WE HAVE A  
22 MEETING THAT'S COMING UP. I JUST WANT TO SAY THIS, AND  
23 I WANT TO HEAR STEVE'S REPORT AND HEARD SOME OF IT IN  
24 PREPARATION FOR THIS MEETING. BUT I JUST WONDER IF  
25 THIS ISSUE IS SUCH A BIG ISSUE BECAUSE IT'S

1       REQUIRING -- WE'RE GOING TO HAVE STEVE'S REPORT THAT'S  
2       GOING TO REQUIRE A LOT OF CONVERSATION, THAT WE'RE  
3       GOING TO KEEP TALKING, AND WE CAN'T LEAVE THIS MEETING  
4       WITHOUT HAVING SOME INTERIM GUIDELINES OR WE CAN'T GIVE  
5       OUT OUR FIRST GRANTS. AND I THINK THAT WOULD BE A  
6       TERRIBLE THING.

7                I'M JUST SAYING THAT I WANT TO SAY AGAIN THAT  
8       THESE ARE INTERIM GUIDELINES, AND THEY ARE GOING TO BE,  
9       I HOPE, OR OUR COMMITTEE IS NOT GOING TO BE DOING ANY  
10      WORK, CHANGED. AND IT'S A QUARTER OF THREE, TEN OF  
11      THREE. I'M JUST TRYING TO BE PRACTICAL.

12              I WANT TO ASK THE SERIOUS QUESTION, WHICH I  
13      ASKED ONCE BEFORE. AND, JEFF, I WANT YOU TO POSSIBLY  
14      THINK ABOUT WHETHER WE SHOULD JUST LEAVE THIS WHOLE  
15      THING ALONE TILL, AND ALTA AND FRANCISCO, IF WE SHOULD  
16      JUST LEAVE THIS WHOLE THING ALONE, STAY WITH THE  
17      LANGUAGE THE WAY IT IS, NOT ADD ANY LANGUAGE, SO WE'RE  
18      NOT GETTING INTO THE WHOLE ISSUE, AND THEN DO OUR WORK,  
19      AND THEN DECIDE WHETHER OR NOT TO ADD ANY LANGUAGE OR  
20      NOT TO ADD ANY LANGUAGE.

21              THIS HAS BEEN -- AND PUBLIC INPUT AND  
22      EVERYTHING. I JUST LIVE IN FEAR THAT YOUR REPORT IS  
23      GOING TO BE TERRIFIC. IT'S GOING TO STIMULATE TWO  
24      HOURS OF CONVERSATION, AND WE'RE GOING TO LEAVE HERE  
25      WITHOUT HAVING ANY INTERIM GUIDELINES, AND THEN WE



1 CANNOT GIVE OUT THE GRANTS THAT WE ALL WANT TO GIVE  
2 OUT.

3 I JUST ASK US TO THINK ABOUT DEFERRING THIS  
4 WHOLE BIG ISSUE INTO OUR STUDY GROUPS AND REALLY NOT  
5 LISTENING TO YOUR REPORT AND REALLY DELVING INTO IT AS  
6 WE SHOULD. WE HAVE THREE HOURS LEFT.

7 DR. KIESSLING: WE CAN JUST TABLE THAT  
8 MOTION, RIGHT?

9 MR. SHESTACK: ALL WE'RE ASKING TO TABLE --  
10 TO POSTPONE THE MOTION AND SEE AT THE END WHEN WE'VE  
11 GONE THROUGH THE INTERIM -- WE ARE GOING GO THROUGH --  
12 WE'RE GOING TO GO THE REST OF THE MAIN SECTIONS, RIGHT?

13 DR. PRIETO: AND THEN WE CAN BRING THIS UP  
14 AGAIN OR NOT.

15 (OVERLAPPING DISCUSSION AMONG THE  
16 MEMBERS.)

17 CO-CHAIR LO: I THINK WHAT WE WANT TO DO IS  
18 MAKE SURE THAT WE HAVE COVERED ANY IMPORTANT ISSUES  
19 THAT ANYONE WANTS TO RAISE IN TERMS OF THE INTERIM  
20 GUIDELINES.

21 DR. PRIETO: I MOVE THAT WE DEFER THIS  
22 QUESTION.

23 MR. KLEIN: SECOND.

24 CO-CHAIR LO: THOSE IN FAVOR OF TABLING.  
25 THOSE OPPOSED? LET'S TABLE THAT AND TRY AND COME BACK

1 TO IT.

2 ARE THERE OTHER ISSUES WITH REGARD TO THE  
3 INTERIM GUIDELINES THAT PEOPLE FEEL WE MUST DISCUSS  
4 BECAUSE THEY'RE UNCOMFORTABLE THEY'RE BEING IN PLACE AS  
5 JUST INTERIM GUIDELINES? SO WE'RE TRYING TO  
6 DISTINGUISH THOSE ISSUES FROM ISSUES THAT WE WILL  
7 CERTAINLY WANT TO DISCUSS IN WORKING GROUPS AND WITH  
8 THE PUBLIC FOR FINAL DRAFT GUIDELINES.

9 DR. HALL: SO WHAT'S THE -- YOU WANT TO HOLD  
10 THIS, THEN, AND GO ON TO THE STUDY GROUPS?

11 CO-CHAIR LO: NO. NO. WE'RE TRYING TO SEE  
12 IF THERE ANY OTHER BIG ISSUES ON THE INTERIM  
13 GUIDELINES, THEN COME BACK TO THIS ONE AFTER WE'VE GOT  
14 EVERYTHING ELSE OUT OF THE WAY.

15 MR. SHESTACK: WELL, THE STUDY GROUPS PERTAIN  
16 ULTIMATELY TO SOME OF THE THINGS IN HERE.

17 DR. HALL: BUT THE STUDY GROUPS HAVE A  
18 LONG-TERM AIM. WE TRIED TO DISTINGUISH THAT, THAT WE  
19 HAVE AN ITEM TO GET DONE -- IT'S A COMPLICATED THING.  
20 WE'RE DISCUSSING ISSUES WITH SORT OF DOUBLE VISION.  
21 ONE IS TO GET TO THIS END POINT OF APPROVING, AND THE  
22 OTHER IS A LONG DELIBERATIVE PROCESS IN WHICH WE HEAR A  
23 VARIETY OF POINTS OF VIEW, HEAR FROM THE PUBLIC,  
24 DISCUSS AMONG OURSELVES, AND THEN ARRIVE AT SOME  
25 CONSIDERED DECISION BY NOVEMBER 1ST.

1 CO-CHAIR LANSING: I WANT TO BE CLEAR. I'M  
2 ACTUALLY SUGGESTING THAT JEFF, YOUR ISSUE IS SUCH A BIG  
3 ISSUE, THAT IT REALLY SHOULD GO INTO THAT KIND OF LONG  
4 THING. I DON'T THINK WE'RE GOING TO HAVE THE ANSWER  
5 TODAY. WE'VE BEEN -- I GUESS I'VE SAID IT ALREADY.  
6 THE INTERIM GUIDELINES ARE ONLY INTERIM GUIDELINES TO  
7 GET US TO BE ABLE TO APPROVE THE GRANTS. THE GOAL WAS  
8 TO SEE IF THERE WAS ANY MAJOR THING THAT WE NEEDED TO  
9 CHANGE.

10 DR. KIESSLING: WE DON'T HAVE TO ADOPT THEM  
11 UNTIL 5:30 OR SOMETHING?

12 CO-CHAIR LANSING: THAT'S CORRECT.

13 MR. KLEIN: I HAD A TECHNICAL QUESTION ON  
14 100008. EARLIER ON WAS THERE A CHANGE TO SUBPART E, SO  
15 IT NOW READS NO LONGER THAN 12 DAYS?

16 MR. HARRISON: WE MADE A CHANGE DURING THE  
17 LUNCH BREAK TO CONFORM THE LANGUAGE TO THE LANGUAGE  
18 THAT'S USED IN AN EARLIER SECTION.

19 DR. PRIETO: WHICH IS?

20 MR. KLEIN: IT SAYS NO LONGER THAN 12 DAYS;  
21 IS THAT RIGHT?

22 MR. HARRISON: IT'S AFTER THE APPEARANCE OF  
23 THE PRIMITIVE STREAK OR AFTER 12 DAYS, WHICHEVER IS  
24 EARLIER. I THINK THE LANGUAGE IS UP ON THE SCREEN NOW.

25 CO-CHAIR LO: ANY OTHER BURNING ISSUES?

1 MR. SHESTACK: FOR INSTANCE, IT SAYS  
2 INSTITUTIONS ENGAGED IN HUMAN STEM CELL RESEARCH SHALL  
3 MAINTAIN AT MINIMUM A REGISTRY. NOW, I'M ASSUMING -- I  
4 JUST DON'T UNDERSTAND THE LANGUAGE. I WAS ASSUMING  
5 THAT WE WILL MAINTAIN A REGISTRY, BUT -- THAT CIRM WILL  
6 MAINTAIN A REGISTRY, BUT THIS MEANS THAT ANY  
7 INSTITUTION, SAY, UCLA, WILL MAINTAIN A REGISTRY OF  
8 STEM CELL LINES? REGISTRY MEANS ALL AVAILABLE LINES.  
9 DOESN'T MEAN LINES THAT THEY ARE WORKING WITH. SO WHAT  
10 DOES IT MEAN?

11 DR. PRIETO: CAN I RESPOND TO THAT?

12 MR. SHESTACK: ALTA, CAN YOU TELL ME WHAT THE  
13 WORD "REGISTRY" MEANS HERE?

14 MS. CHARO: IN THE NATIONAL ACADEMIES'  
15 REPORT, IT REFERRED ONLY TO INSTITUTIONS KEEPING TRACK  
16 OF LINES BEING USED AT THAT INSTITUTION.

17 DR. PRIETO: CAN I RESPOND TO THAT? THE  
18 BANKING STUDY GROUP ADDRESSED THIS, AND THIS IS GOING  
19 TO BE IN OUR REPORT.

20 MR. SHESTACK: DOESN'T ACTUALLY MEAN -- SO  
21 IT'S NOT REALLY A REGISTRY. IT'S A LIST OF THE CELL  
22 LINES THEY ARE WORKING WITH AND THEIR DERIVATIONS?

23 MS. CHARO: RIGHT. BASICALLY IT WAS IF  
24 SOMEBODY CALLS UCSF AND SAYS WHAT DO YOU GOT, THEY  
25 SHOULD BE ABLE TO ANSWER. CURRENTLY LARGE INSTITUTIONS

1 COULDN' T.

2 MR. SHESTACK: AS OPPOSED TO SOMEONE ELSE WHO  
3 MIGHT AS A SERVICE FOR THE RESEARCH COMMUNITY HAVE A  
4 REGISTRY OF ALL AVAILABLE CELL LINES.

5 MS. CHARO: NO. NO. NO.

6 DR. HALL: THIS IS FOR THEIR OWN REGULATORY  
7 PURPOSES AS MUCH AS ANYTHING ELSE.

8 CO-CHAIR LO: OTHER ISSUES?

9 DR. PRIETO: I THINK WE TOUCHED ON THIS THIS  
10 MORNING, BUT WHETHER THESE ADDRESS SPECIFIC OTHER  
11 CATEGORIES OF STEM CELL LINES SUCH AS ADULT STEM CELLS  
12 THAT ARE ALLOWED FOR UNDER THE INITIATIVE. I DON' T  
13 KNOW WHETHER WE NEED TO INSERT LANGUAGE TO ACCOMMODATE  
14 THAT.

15 DR. HALL: OKAY. SO THIS IS AN IMPORTANT  
16 ISSUE BECAUSE -- FOR BOTH ADULT AND FETAL STEM CELL  
17 LINES. THAT' S NOT COVERED HERE. WE WILL NEED  
18 REGULATIONS FOR THOSE, AND WHAT WE PROPOSE TO DO, IT' S  
19 A LESS ELABORATE KIND OF THING, BUT WE PROPOSE TO LOOK  
20 AT EXISTING REGULATIONS IN OTHER PLACES, NIH AMONG  
21 OTHERS, AND THEN TO BRING TO YOU AT THE NEXT MEETING,  
22 THE NOVEMBER MEETING, A POLICY.

23 DR. PRIETO: SO WE' LL HAVE A SEPARATE SET OF  
24 GUIDELINES.

25 DR. HALL: AN INTERIM POLICY WITH RESPECT TO

1 THOSE LINES. WE HOPE THAT THE LONG-TERM POLICY WILL  
2 INCLUDE MATERIAL ON THAT SO THAT THAT CAN BE COVERED  
3 BECAUSE IT IS CERTAINLY WORK THAT WE WILL BE FUNDING,  
4 AND WE WILL NEED TO STATE WHAT OUR STANDARDS ARE. FOR  
5 EXAMPLE, IN THE GRANTS POLICY STATEMENT WE NEED TO  
6 REFER TO SOMETHING. SO WE WOULD LIKE TO HAVE THAT  
7 OUTLINED, BUT THAT'S NOT THE IMMEDIATE JOB AT HAND. SO  
8 WE'LL DEFER THAT. NOT DEFER DISCUSSION ON IT, AGAIN  
9 THIS DOUBLE VISION. WE'LL DEFER IT AS AN INTERIM  
10 STANDARD, BUT WE HOPE THAT AS THE NEXT TWO MONTHS GO  
11 ON, IN YOUR DISCUSSIONS YOU WILL THINK ABOUT IT.

12 CO-CHAIR LO: OTHER ISSUES?

13 DR. WILLERSON: I'D RECOMMEND YOU CONSIDER  
14 THE PLACENTAL AND CORD BLOOD. I'D RECOMMEND YOU  
15 CONSIDER THE PLACENTAL AND CORD BLOOD CELLS IN THAT  
16 CATEGORY.

17 DR. HALL: ABSOLUTELY. THANK YOU.

18 DR. TAYLOR: I GUESS I'M INTERPRETING THIS AS  
19 WHAT ARE THE OTHER AREAS AND DO WE WANT TO BRING THOSE  
20 UP NOW?

21 CO-CHAIR LO: WHAT ARE THE OTHER ISSUES WE  
22 WANT TO CHANGE IN THE INTERIM GUIDELINES.

23 DR. TAYLOR: SO, AGAIN, SORT OF IN RESPONSE  
24 TO STEVE'S COMMENTS, BUT AS A MEMBER OF THE DONOR  
25 RECRUITMENT AND PROTECTION SUBCOMMITTEE, JUST TELL ME

1 THAT WE CAN'T GO THERE, BUT I THINK THE COMPENSATION  
2 ISSUE IS GOING TO BE A VERY IMPORTANT, PRACTICAL ONE  
3 THAT I KNOW WE DON'T HAVE TIME TO PROBABLY DEAL WITH  
4 THAT AT THIS POINT, BUT I THINK THAT'S AN ISSUE.

5 CO-CHAIR LANSING: THAT'S AN ISSUE FOR OUR  
6 SUBCOMMITTEE, AND THAT IS A BIG ISSUE AND WE HAVE TO  
7 HAVE TIME TO DEAL WITH IT.

8 MR. SHESTACK: I THINK THIS IS TOO LIMITED.

9 MR. KLEIN: WE CANNOT.

10 CO-CHAIR LANSING: SAYS WE CAN'T COMPENSATE.  
11 I UNDERSTAND THAT, BUT WE CAN DEAL WITH --

12 CO-CHAIR LO: AS AN INTERIM GUIDELINE, WE'RE  
13 SAYING MAY NOT COMPENSATE.

14 MR. KLEIN: WE ACTUALLY CAN'T COMPENSATE AS A  
15 FINAL GUIDELINE EITHER. WE CAN'T DO IT EITHER AS  
16 INTERIM OR FINAL.

17 NOW, THERE'S A SEPARATE ISSUE, WHICH IS THAT  
18 IF CELL LINES ARE DERIVED FROM INSTITUTIONS NOT USING  
19 OUR FUNDS. FOR EXAMPLE, THEY COULD HAVE A COMPENSATION  
20 POLICY AND AS LONG AS IT MEETS CERTAIN ETHICAL  
21 STANDARDS. AND OUR RESEARCHERS COULD USE THOSE LINES,  
22 BUT OUR RESEARCHERS CANNOT DERIVE A LINE WHERE WE HAVE  
23 COMPENSATION FOR THE OOCYTE DONATIONS.

24 CO-CHAIR LANSING: THERE IS WHERE I GET  
25 CONFUSED.

1 MR. SHESTACK: PER THE LEGISLATION, NOT THE  
2 GUIDELINES.

3 MR. KLEIN: PER THE INITIATIVE.

4 CO-CHAIR LANSING: IF WE ADAPTED -- GOING  
5 BACK TO THE BIG ISSUE, IF WE ADAPTED THAT WE CAN ONLY  
6 FUND PEOPLE -- RESEARCH INSTITUTIONS THAT ADHERE TO OUR  
7 GUIDELINES, THE FAMOUS SENTENCE THAT WE'RE TALKING  
8 ABOUT, YOU WOULD NOT BE ABLE TO DO THAT THEN.

9 MR. KLEIN: TECHNICALLY IT WOULD CREATE A  
10 PROBLEM.

11 CO-CHAIR LANSING: THAT'S SUCH A BIG ISSUE.  
12 I'M NOT SAYING YOU'RE FOR IT. THAT'S WHY I WOULD LEAVE  
13 THE GUIDELINES ALONE.

14 CO-CHAIR LO: IF YOU WANTED TO CHANGE THE  
15 POLICY ON COMPENSATION, IT WILL REQUIRE GOING TO THE  
16 LEGISLATURE, GETTING TWO-THIRDS MAJORITY, AND NOT BEING  
17 ABLE --

18 MR. KLEIN: TWO MORE YEARS.

19 CO-CHAIR LO: IT'S NOT SOMETHING WE CAN DO  
20 TODAY.

21 CO-CHAIR LANSING: WE PAY FOR EXPENSES IF YOU  
22 MISS WORK.

23 MR. KLEIN: WE PAY FOR EXPENSES, BUT NOT LOST  
24 WAGES.

25 CO-CHAIR LANSING: NOT LOST WAGES. EXPENSES



1 TO GET TO --

2 MR. KLEIN: EXPENSES.

3 DR. EGGAN: REIMBURSEMENT.

4 MR. SHESTACK: SO I UNDERSTAND THESE

5 GUIDELINES. OBVIOUSLY, ACCORDING TO THE INITIATIVE AND

6 THE GUIDELINES, THERE'S NO COMPENSATION, NO IN-KIND

7 COMPENSATION, JUST DIRECT EXPENSE REIMBURSEMENT. BUT

8 ARE CIRM-FUNDED SCIENTISTS DOING CIRM-FUNDED MOLECULAR

9 BIOLOGY ALLOWED TO USE OTHERWISE DERIVED CELL LINES FOR

10 WHICH PEOPLE MAY HAVE RECEIVED COMPENSATION?

11 MR. KLEIN: YES.

12 CO-CHAIR LANSING: UNLESS WE CHANGE THE

13 SENTENCE IN THE BEGINNING.

14 MR. SHESTACK: CURRENTLY ACCORDING TO THESE

15 INTERIM GUIDELINES, IF I WORK AT UCLA AND I GET SOME

16 CELL LINES FROM ISRAEL WHERE THEY PAID SOMEBODY TO

17 DONATE THE EGGS, TOTALLY FINE. I'M ALLOWED TO DO IT.

18 CO-CHAIR LANSING: UNLESS YOU CHANGE THAT

19 SENTENCE. THAT'S THE KIND OF ISSUE THAT COMES UP WITH

20 THAT SENTENCE.

21 MR. KLEIN: AS LONG AS YOU MET

22 INTERNATIONALLY ACCEPTED ETHICAL STANDARDS WITH THE

23 CONSENT AND OTHER ISSUES.

24 MR. SHESTACK: FOR INSTANCE, THE BRITISH STEM

25 CELL --

1 MR. KLEIN: THE BRITISH STEM CELL BANK MAY  
2 HAVE A NUMBER OF LINES WHERE UNDER ETHICAL PROCEDURES  
3 THEY DID COMPENSATE PEOPLE FOR LOST WORK TIME, FOR  
4 EXAMPLE, AND THOSE LINES ARE PERFECTLY ACCEPTABLE. BUT  
5 IT'S JUST THAT OUR MONEY CANNOT BE USED ON DERIVING A  
6 LINE WHERE THE OOCYTE DONOR IS PAID FOR TIME OR  
7 OPPORTUNITY COSTS.

8 CO-CHAIR LO: OTHER ISSUES FOR THE INTERIM  
9 GUIDELINES?

10 DR. KIESSLING: I HAVE A QUESTION ON SECTION  
11 9, SUBSECTION B. IT SAYS INSTITUTIONS ENGAGED IN HES  
12 RESEARCH SHALL CREATE MECHANISMS FOR ESTABLISHING  
13 CENTRAL REPOSITORIES FOR HES CELL LINES. WHAT IF  
14 YOU'RE NOT DERIVING ANY CELL LINES?

15 MR. SHESTACK: RIGHT. THAT DOESN'T MAKE -- I  
16 HAD A SIMILAR QUESTION. THAT DOESN'T APPLY TO GRANTEES  
17 AS MUCH AS --

18 MS. CHARO: JUST TO -- I'M GOING TO APOLOGIZE  
19 IN ADVANCE. I REALLY DID NOT MEAN TO BE THE  
20 TROUBLEMAKER, BUT THIS IS EXACTLY THE KIND OF THING  
21 THAT ARISES WHEN THIS IS WRITTEN NOT TO FOCUS ON BEING  
22 A FUNDING AGENCY, BUT IT WAS A TRANSFORMATION OF  
23 SUBSTANTIVE RULES INTO REGULATORY LANGUAGE AS OPPOSED  
24 TO FUNDING CRITERIA. SO THIS ENTIRE SECTION ON BANKING  
25 IS ONE THAT READS BETTER IF YOU ARE DIRECTLY REGULATING

1 INSTITUTIONS IN YOUR STATE AND YOU WANT TO MAKE THIS A  
2 REQUIREMENT. IT DOES NOT READ VERY SENSIBLY IF YOU'RE  
3 A FUNDING AGENCY DECIDING WHETHER OR NOT TO GIVE MONEY  
4 TO DR. ROBERT TAYLOR.

5 MR. SHESTACK: BUT IT MIGHT MAKE SENSE AS A  
6 POLICY FOR YOUR FUNDING. THE FUNDING INSTITUTION MIGHT  
7 DECIDE THAT THEY WANT TO PROMOTE BANKING AND DO IT.

8 MS. CHARO: SO AT GREAT RISK AND WITH GREAT  
9 TREPIDATION, I WOULD LIKE TO AT LEAST FLOAT THE IDEA  
10 THAT THIS ENTIRE SECTION ON BANKING AND DISTRIBUTION,  
11 WHICH IS THE MOST PROBLEMATIC OF ALL --

12 MR. SHESTACK: IN TERMS OF THE ACTUAL  
13 LANGUAGE.

14 MS. CHARO: IN TERMS OF THE INTERIM  
15 GUIDELINES AND SUBSTANTIVE REQUIREMENTS BEING PLACED ON  
16 INSTITUTIONS, THAT THIS ONE SECTION BE DELETED FROM THE  
17 INTERIM GUIDELINES AND THEN REVISITED AS WE MOVE TO  
18 FINAL. JUST DELETE IT IN TOTO. PUT NO REQUIREMENTS ON  
19 INSTITUTIONS THAT THEY CREATE STEM CELL BANKS BECAUSE  
20 THIS WAS AIMED AT IF YOU'RE GOING TO CREATE A STEM CELL  
21 BANK, HERE'S HOW TO DO IT. THAT WAS REALLY WHERE IT  
22 WAS GOING.

23 DR. HALL: SO WHAT DO YOU WANT TO DELETE?

24 MS. CHARO: ALL OF SECTION 10.

25 DR. HALL: NO REGISTRY?

1 MS. CHARO: THIS IS NOT -- IT'S ALL SO MIXED  
2 UP.

3 DR. HALL: YOU KNOW --

4 DR. KIESSLING: SECTION B IS REALLY A  
5 PROBLEM.

6 DR. HALL: LET ME JUST SAY THAT IT SEEMS TO  
7 ME THAT IF YOU CREATE MECHANISMS FOR ESTABLISHING,  
8 INCLUDING PARTICIPANTS OR AUGMENTATIONS OF EXISTING  
9 QUALITY RESEARCH LINE REPOSITORIES, THAT SIMPLY MEANS  
10 THAT, IT SEEMS TO ME, YOU, FOR EXAMPLE, IN THE CASE OF  
11 HARVARD INVESTIGATORS WHO HAVE SUBMITTED THEIR LINES TO  
12 THE UK STEM CELL BANK, THIS IS A PERFECT EXAMPLE OF  
13 THAT. I THINK IT'S ENCOURAGING PEOPLE TO PUT LINES IN  
14 BANKS. THEY DON'T HAVE TO BE ESTABLISHED BY THAT  
15 INSTITUTION.

16 DR. KIESSLING: THIS ONLY APPLIES TO RESEARCH  
17 WHERE YOU ARE DERIVING LINES. SO WHAT IF YOU ENGAGE IN  
18 A LOT OF HES RESEARCH, BUT YOU DON'T DERIVE ANY OF THE  
19 CELL LINES?

20 DR. EGGAN: I DON'T READ IT THAT WAY.

21 DR. PRIETO: THIS IS GOING TO COME UP IN THE  
22 BANKING STUDY GROUP REPORT; BUT PERHAPS IF WE JUST  
23 ACCEPT THIS AS AN AMENDMENT BECAUSE THERE WILL BE MORE  
24 DISCUSSION HERE. ADDED THE WORDING "SHALL CREATE OR  
25 PARTICIPATE IN MECHANISMS FOR ESTABLISHING CENTRAL

1 REPOSITORIES," ETC., BECAUSE WE ARE GOING TO WANT TO  
2 ENCOURAGE INSTITUTIONS TO PARTICIPATE, NOT NECESSARILY  
3 ESTABLISH EACH THEIR OWN.

4 DR. HALL: THAT'S A VERY GOOD SUGGESTION.

5 DR. PRIETO: WOULD YOU ACCEPT THAT AS A  
6 FRIENDLY AMENDMENT?

7 MR. SHESTACK: WE DO WANT TO PRESCRIBE A  
8 METHODOLOGY THAT ULTIMATELY MAKES BANKING EASIER, MORE  
9 EFFICIENT, EVERYBODY WHO'S FUNDED BY CIRM IS OBSERVING  
10 CERTAIN STANDARDS THAT WILL ALLOW US --

11 DR. PRIETO: I THINK THIS IS AN AREA WHERE WE  
12 DO WANT TO HAVE STANDARDS AND BE THE AGENCY THAT SETS  
13 THAT STANDARD AND ENCOURAGE BANKING AND ENCOURAGE THE  
14 DISSEMINATION OF INFORMATION.

15 DR. HALL: THE GUIDELINES, I AGREE, FOR ANY  
16 FACILITY, ALTA, I THINK THESE ARE IN A CERTAIN SENSE  
17 SUPERFLUOUS IN A DOCUMENT LIKE THIS. BUT IF YOU  
18 DON'T -- IF UCLA IS NOT GOING TO ESTABLISH A STEM CELL  
19 BANK ON ITS OWN, IT DOESN'T HAVE TO PAY ANY ATTENTION  
20 TO THIS FOR THE MOMENT. I THINK OTHERWISE IT'S NOT  
21 UNREASONABLE GUIDELINES.

22 MS. CHARO: SO (B) DOESN'T COMMIT THEM TO  
23 CREATING MECHANISMS?

24 DR. PRIETO: I'M SUGGESTING --

25 DR. HALL: SHALL CREATE OR PARTICIPATE IN.

1 DR. PRIETO: I'M SUGGESTING A FRIENDLY  
2 AMENDMENT, WHICH I REALIZE COMPLETELY CHANGES THE  
3 MEANING OF YOUR MOTION, BUT THAT WE ENCOURAGE -- THAT  
4 THEY SHALL CREATE OR PARTICIPATE IN.

5 MR. KLEIN: I THINK THIS IS HELPFUL LANGUAGE  
6 TOO BECAUSE WHAT IF WE FUND A GMP FACILITY TO CREATE  
7 FDA-APPROVED BIOLOGICALS AND WE'RE PARTICIPATING IN A  
8 STEM CELL BANK IN PROVIDING THEM FDA-APPROVED  
9 BIOLOGICALS THROUGH ONE OF OUR FACILITY FUNDINGS? WE  
10 NEED SOME GUIDANCE HERE, AND I THINK THIS IS  
11 CONSTRUCTIVE CONCEPTUAL GUIDANCE.

12 MS. CHARO: IT'S CONSTRUCTIVE, BUT I'M HOPING  
13 ZACH IS RIGHT AND THAT IT'S JUST SUPERFLUOUS BECAUSE WE  
14 HAVE ABSOLUTELY NO BUSINESS TELLING INSTITUTIONS WHAT  
15 THEY SHOULD BE DOING. OUR ONLY BUSINESS IS TELLING  
16 INVESTIGATORS WHAT THEY CAN AND CANNOT DO WITH OUR  
17 MONEY.

18 DR. PRIETO: BUT I THINK WE DO HAVE A RIGHT  
19 TO TELL THEM WHAT WILL HAPPEN WITH THE BIOLOGICALS THAT  
20 THEY CREATE OR THAT THEY DERIVE WITH CIRM MONEY.

21 CO-CHAIR LO: FRANCISCO, COULD I ACTUALLY ASK  
22 YOU TO MAKE A FORMAL MOTION?

23 DR. PRIETO: I'M JUST A LITTLE WORRIED ABOUT  
24 THE WHOLE PARLIAMENTARY THING. IS THERE A MOTION ON  
25 THE FLOOR ALREADY?

1 DR. KIESSLING: WE TABLED IT.

2 DR. PRIETO: MY MOTION WOULD JUST BE THAT WE  
3 CHANGE THE LANGUAGE OF SECTION 100009(B) TO ADD THE  
4 WORDS "OR PARTICIPATE" AFTER CREATE, SO IT WILL READ  
5 "INSTITUTIONS ENGAGED IN HUMAN EMBRYONIC STEM CELL  
6 RESEARCH SHALL CREATE OR PARTICIPATE IN MECHANISMS FOR  
7 ESTABLISHING CENTRAL REPOSITORIES," ETC.

8 MR. KLEIN: I SECOND.

9 DR. KORDOWER: CAN I JUST GET A CLARIFICATION  
10 ON ONE POINT HERE? SO AN INVESTIGATOR IS NOT DERIVING  
11 STEM CELLS, BUT IT'S JUST TAKING STEM CELLS AND USING  
12 THEM IN AN EXPERIMENT, SO DID THEY HAVE TO THEN CREATE  
13 A BANK OR PARTICIPATE IN A BANK? THAT'S HOW I'M  
14 READING THIS.

15 DR. PRIETO: NO. NO. NO. THAT'S WHY I  
16 ADDED THAT WORD. THEY DO NOT -- EACH INSTITUTION DOES  
17 NOT NEED TO CREATE A BANK OR CREATE A REGISTRY, AND  
18 WE'RE GOING TO TALK ABOUT THAT A LITTLE LATER.

19 MR. SHESTACK: THEN MAYBE WHAT WE SHOULD SAY  
20 IS INSTITUTIONS ENGAGED IN HES DERIVATION.

21 DR. KIESSLING: RIGHT. STEM CELL DERIVATION.

22 DR. PRIETO: EXCEPT THAT EVEN IF THEY DON'T  
23 DERIVE THE LINES, I THINK IT'S PART OF SORT OF  
24 MAINTAINING THE OVERALL INTEGRITY OF THE RESEARCH WE'RE  
25 FUNDING THAT ALL THESE INSTITUTIONS SHARE INFORMATION

1 WITH THE REGISTRY. THERE ARE TWO SEPARATE ISSUES. ONE  
2 IS REGISTRY, ONE IS BANKING. AND THE BANK, WHATEVER  
3 STEM CELL BANK EXISTS, WILL BE EXPECTED TO MAINTAIN  
4 CELL LINES, BUT I THINK WE WILL ALSO WANT TO HAVE A  
5 REGISTRY.

6 DR. HALL: REGISTRY IS SEPARATE, AND I THINK  
7 IT'S COVERED.

8 MR. SHESTACK: THIS IS REPOSITORY. ALTA,  
9 COULD I JUST ASK YOU ONE OTHER QUESTION? THE REST OF  
10 THE NEXT TWO PAGES 7 AND 8 OF THIS BECAUSE THERE'S A  
11 SORT OF -- I DON'T UNDERSTAND THE NAME. THERE'S LIKE  
12 WE HAVE A, B, C, D, THEN WE GET TO ONE, WHICH I'M NOT  
13 SURE IF THAT'S A SUBCATEGORY OF D OR B. DOES THIS ALL  
14 PERTAIN TO --

15 MS. CHARO: I HAVE NO IDEA WHAT YOU'RE  
16 LOOKING AT.

17 MR. SHESTACK: LOOKING AT PAGE 7, ALL OF IT,  
18 AND PAGE 8. THESE PERTAIN TO WHAT, NOT HOW YOU DO A  
19 CONSENT FORM? HOW YOU DO WHAT EXACTLY?

20 MS. CHARO: I WANT TO GO BACK TO THE ORIGINAL  
21 POINT, RIGHT, BECAUSE IMAGINE THAT JDRF IS FUNDING,  
22 IMAGINE THAT MICHAEL J. FOX IS FUNDING, IMAGINE THAT  
23 CHRISTOPHER REEVE FOUNDATION IS FUNDING, AND IMAGINE  
24 THAT CIRM IS FUNDING, AND EVERYBODY IS FUNDING RESEARCH  
25 GOING ON IN THE SAME INSTITUTION. IF EVERY ONE OF



1 THESE FUNDERS DID WHAT WE'RE DOING, WHICH IS TO SAY,  
2 WELL, WE'D LIKE TO GUIDE THE FIELD, AND WE'D LIKE TO  
3 TELL THEM ALL HOW THEY NEED TO CREATE REGISTRIES AND  
4 HOW THEY NEED TO CREATE BANKS, THEY'RE GOING TO BE  
5 GETTING FOUR DIFFERENT SETS OF RULES, MANY OF WHICH  
6 WILL NOT BE CONSISTENT WITH ONE OTHER. AND THE  
7 INSTITUTIONS ARE GOING TO BE IN A TERRIBLE PICKLE.

8 THESE KINDS OF THINGS THAT CAME OUT OF THE  
9 NAS WERE REALLY AIMED AT THE ESCRO'S AND AT THE  
10 INSTITUTIONS SO THAT FUNDERS COULD DEFER. FUNDERS  
11 COULD SAY WE'RE GOING TO FUND SOMEBODY AT AN  
12 INSTITUTION THAT'S FOLLOWING ESCRO'S AND NAS  
13 GUIDELINES. AND THE ESCRO'S AND THE NAS GUIDELINES  
14 WITHIN THE INSTITUTION SET UP A SINGLE SET OF RULES FOR  
15 THEIR BANKS, FOR THEIR REGISTRIES. IN OTHER WORDS,  
16 THERE WAS A NOTION OF DEFERENCE. I'M JUST GETTING  
17 REALLY WORRIED THAT ALTHOUGH WITH ALL THE BEST  
18 INTENTIONS IN THE WORLD, WE MAY BE SETTING OURSELVES UP  
19 NOT TO GUIDE THE REST OF THE WORLD TOWARD ETHICAL  
20 BEHAVIOR, BUT TO, IN FACT, LEAD INSTITUTIONS INTO  
21 SITUATIONS OF INHERENT AND IMPOSSIBLE CONFLICT BECAUSE  
22 WE'RE GOING BEYOND WHAT IS REALLY AT THE CORE OF THE  
23 MANDATE HERE, WHICH IS WHAT MEETS OUR ETHICAL STANDARDS  
24 FOR WORK WITH OUR STEM CELL LINES OR WITH THE MATERIALS  
25 YOU USE TO CREATE NEW STEM CELL LINES.

1 MR. SHESTACK: I JUST DON'T KNOW HOW TO READ  
2 THIS.

3 MS. CHARO: FORGET ABOUT READING IT. I'M  
4 TRULY MEANING GO BACK TO DELETING THE WHOLE THING,  
5 INCLUDING THE REGISTRY THING.

6 MR. SHESTACK: YOU MEAN ALL OF PAGE --

7 MS. CHARO: I WOULD DELETE THE ENTIRE SECTION  
8 ONE AND ALL THE ZEROS AND NINE.

9 MR. SHESTACK: ALL OF SECTION 9.

10 MS. CHARO: INCLUDING THE REGISTRY. THAT'S  
11 UP TO THE INSTITUTION. THAT'S AN INTERNAL MATTER.

12 CO-CHAIR LANSING: CAN I JUST SAY SOMETHING?  
13 I'M, AGAIN, A LAYPERSON. I WANT TO HEAR WHAT THE  
14 BANKING COMMITTEE HAS TO SAY ON THIS. I JUST FEEL -- I  
15 DON'T HAVE YOUR KNOWLEDGE. DO YOU KNOW WHAT I MEAN?  
16 SO I DON'T KNOW HOW TO VOTE. I'D HAVE TO ABSTAIN. I  
17 FEEL THAT -- I KEEP REPEATING MYSELF, BUT I FEEL LIKE  
18 WE'RE DOING THE WORK OF THE COMMITTEE, AND WE NEED TO  
19 GET INTO THE COMMITTEE WORK. I NEED TO HEAR THE  
20 COMMITTEES' REPORTS. THE COMMITTEES NEED TO GO BACK.  
21 AND VERY WELL IT MAY BE THAT WE DELETE THE WHOLE THING.  
22 BUT I DON'T THINK IT'S INTERFERING WITH OUR WORK FOR  
23 THE NEXT NINE MONTHS IF IT STAYS, AND THEN WE CAN  
24 DELETE IT, WE CAN CHANGE IT, WE CAN MODIFY IT.

25 MR. SHESTACK: THE COMMITTEE MAY HAVE POINTS

1 OF VIEW, FOR INSTANCE, ON BANKING THAT HAVE NOTHING TO  
2 DO WITH FULL ICOC POLICY ON BANKING, FUNDING  
3 PRIORITIES, ALL THOSE THINGS THAT DON'T ACTUALLY  
4 HAVE -- THAT THIS LANGUAGE DOESN'T AFFECT OR NOT  
5 AFFECT. I WAS JUST TRYING TO UNDERSTAND THE LANGUAGE.

6 CO-CHAIR LANSING: BUT I DON'T KNOW THE  
7 ANSWER TO THAT TILL I HEAR THEIR REPORT, UNTIL THEY DO  
8 THEIR WORK.

9 MS. CHARO: SHERRY, WHAT STEVE PECKMAN SAID,  
10 INSTITUTIONS ARE GOING TO HAVE TO INVEST IN COMPLYING  
11 WITH THE INTERIM GUIDELINES. I THINK WHAT I WAS  
12 HEARING HIM SAY IS THAT ONCE THAT INVESTMENT IS MADE,  
13 THERE'S LITTLE BACKING DOWN. SO WE HAVE TO BE CAREFUL  
14 NOT ONLY WITH THE FINALS, BUT ALSO WITH THE INTERIMS,  
15 NOT PUT INTO PLACE SOMETHING THAT IN THE END IS  
16 SUPERFLUOUS.

17 CO-CHAIR LANSING: BUT THE INTERIMS -- AGAIN,  
18 I RESPECT WHAT STEVE'S SAYING, AND I RESPECTFULLY MAYBE  
19 DISAGREE. I DON'T KNOW YET. BUT WHAT I KNOW IS THAT  
20 THIS ALREADY EXISTS. SO THIS IS ALREADY THERE. DO YOU  
21 KNOW? SO WE'RE JUST DECIDING WHETHER TO ADOPT IT OR  
22 NOT FOR OUR INTERIM GUIDELINES. WE'RE NOT REINVENTING  
23 THE WHEEL. WE WILL EVENTUALLY PERHAPS BE REINVENTING  
24 THE WHEEL, AND THEN I THINK THAT OUR INSTITUTIONS,  
25 WHICH ARE JUST GOING TO START WORKING WHEN THEY GET

1 THIS, BECAUSE THAT'S WHEN WE'RE GOING TO START DOING  
2 OUR GRANTS, THEY WON'T HAVE A BACKLOG OF STUFF.

3 DR. HALL: LET ME JUST SAY THAT CIRM HAS SOME  
4 RESPONSIBILITY HERE. AND I THINK THAT ONE OF OUR  
5 RESPONSIBILITIES WILL BE VERY SHORTLY, AFTER THE  
6 INTERIM GUIDELINES ARE PASSED TODAY, THAT WE WILL MEET  
7 WITH REPRESENTATIVES FROM THE DIFFERENT UNIVERSITIES.  
8 AND WE NOW KNOW, SINCE WE'VE BEEN THROUGH A ROUND OF  
9 TRAINING GRANTS, WE KNOW WHO THEY ARE BY AND LARGE.  
10 AND WE WILL SAY TO THEM THESE ARE OUR INTERIM  
11 GUIDELINES. PLEASE UNDERSTAND THAT THE WORKING GROUP  
12 IS WORKING ON THE FOLLOWING POINTS. AND I THINK OUR  
13 DISCUSSIONS HERE TODAY ARE VERY HELPFUL, AND THEY WILL  
14 BE DISCUSSING AT GREAT LENGTH THE FOLLOWING ISSUES. WE  
15 WILL KEEP YOU APPRISED ON HOW THESE GO. IN FACT, BY  
16 NOVEMBER 1ST, YOU WILL KNOW WHAT THE DRAFT OF THE FINAL  
17 GUIDELINES IS.

18 AND SO I THINK WE WILL WORK WITH YOU TO BOTH  
19 TRY TO MAKE YOU ELIGIBLE FOR FUNDING; THAT IS, TO TELL  
20 YOU WHAT YOU NEED TO DO TO GET READY, AND ALSO TO KEEP  
21 YOU FROM DOING UNNECESSARY THINGS. I THINK THIS IS  
22 NOT -- THIS IS A SITUATION IN WHICH IT'S NOT -- THAT  
23 WE'RE GOING TO FROM SOME CENTRAL AUTHORITY SAY GO DO  
24 THIS AND THEN SEVERAL MONTHS LATER SUDDENLY ANNOUNCE  
25 NOW YOU GOT TO CHANGE EVERYTHING. WE WILL BE IN TOUCH

1 WITH PEOPLE. WE WILL ADVISE THEM. AND I THINK WE WILL  
2 SAY PLEASE UNDERSTAND THESE ARE IN TRANSITION.

3 I HAVE ALREADY MET WITH THE UC VICE  
4 CHANCELLORS OF RESEARCH, WHO ACTUALLY INVITED ME TO  
5 TALK WITH THEM. AND ONE OF THE ISSUES WE TALKED ABOUT  
6 WAS THE ESCRO COMMITTEES. AND I SAID YOU NEED TO BE  
7 THINKING ABOUT THESE AND HOW THESE MIGHT WORK AT YOUR  
8 INSTITUTIONS. AND I THINK WE WILL CONTINUE TO WORK  
9 WITH THEM THROUGHOUT THE WHOLE YEAR.

10 SO I DON'T SEE THE ISSUE OF INSTITUTIONAL  
11 INVESTMENT. THERE'S REALLY TWO MONTHS OF UNCERTAINTY.  
12 THERE WILL BE SOME UNCERTAINTY AFTER THAT IN THAT IT  
13 MAY CHANGE AS WE GO ALONG, BUT BY NOVEMBER 1ST, THIS  
14 COMMITTEE WILL HAVE COMPLETED ITS DRAFT OF THE FINAL  
15 GUIDELINES. I JUST DON'T SEE THIS AS A BIG ISSUE. I,  
16 AGAIN, MY VIEW IS THESE ARE ALL IMPORTANT POINTS.  
17 LET'S TRY TO TAKE WHAT WE HAVE HERE AND EVEN WITH ITS  
18 DEFECTS, UNLESS WE FEEL THEY'RE CRIPPLING, MOVE FORWARD  
19 AND THEN IMMEDIATELY BEGIN TO ADDRESS THESE ISSUES IN A  
20 DIFFERENT CONTEXT.

21 CO-CHAIR LANSING: AND WE NEED PUBLIC INPUT.  
22 I'M VERY NERVOUS TO THROW SOMETHING OUT BEFORE WE HAVE  
23 PUBLIC INPUT, UNTIL THE PUBLIC GETS A CHANCE TO WEIGH  
24 IN. YOU MAY BE A HUNDRED PERCENT RIGHT. I'M JUST  
25 SUGGESTING THAT FOR THE -- I'LL SHUT UP.

1 DR. CIBELLI: WE HAD A CONFERENCE CALL, I WAS  
2 PART OF THE BANKING GROUP, AND WE SHOULD STOP TALKING  
3 ABOUT BANKING FOR A WHILE UNTIL WE HEAR WHAT WE HAVE TO  
4 SAY.

5 AND THE OTHER THING IS IF YOU READ CAREFULLY  
6 THE SECTION, IT'S AWFUL THE WAY IT READS. IT'S  
7 USELESS. YOU WILL CONFUSE PEOPLE WHEN THEY START  
8 READING. THEY DON'T UNDERSTAND WHAT WE MEAN BY THIS.  
9 I JUST WANT TO SAY THAT I AGREE WITH ALTA. IF SHE  
10 WANTS TO PUT A MOTION, I'LL SECOND THAT.

11 MS. CHARO: I'VE WITHDRAWN THE MOTION.

12 DR. CIBELLI: I THINK WE MAY HAVE A DIFFERENT  
13 ROLE THAN THE NATIONAL ACADEMY OF SCIENCE. I HONESTLY  
14 HAVE TO SAY THIS, ZACH, THAT I SENSE FROM YOU TOO MUCH  
15 PRESSURE TO TAKE THE NATIONAL ACADEMY OF SCIENCE  
16 GUIDELINES AT FACE VALUE.

17 DR. HALL: AS INTERIM STANDARDS ONLY.

18 DR. CIBELLI: THAT'S THE WAY I SEE IT TODAY.  
19 THAT'S THE WAY I SEE IT TODAY. SO I FEEL LIKE WHETHER  
20 I CAME TO THIS MEETING OR NOT, IT DIDN'T MATTER. I  
21 FEEL THAT I'VE BEEN RUSHED.

22 DR. HALL: LET ME JUST SAY I'M SORRY YOU FEEL  
23 THAT WAY. ONE OF THE DANGERS, AND I THINK WE HAVE NOT  
24 SKIRTED IT, ONE OF THE THINGS I WAS WORRIED ABOUT IS WE  
25 WOULD SPEND ALL DAY TALKING ABOUT THE INTERIM STANDARDS

1 AND NOT GET ON TO OUR WORKING GROUPS AND TALK ABOUT THE  
2 REAL ISSUES. AND I FEEL TO SOME EXTENT THAT'S  
3 HAPPENED.

4 NOW THE ISSUE HAS BECOME -- I HAD HOPED THAT  
5 WE COULD DEAL WITH THE INTERIM STANDARDS IN A FAIRLY  
6 PERFUNCTORY WAY AND SAY THEY'RE NOT COMPLETE, BUT  
7 THAT'S WHAT THE ICOC HAS DONE AND ALL THE REST. LET'S  
8 JUST SAY, OKAY, THIS WILL HOLD US NOW TO LET US GET  
9 GOING, AND NOW LET'S START OUR REALLY SERIOUS  
10 DISCUSSIONS. IN A WAY, WHAT'S HAPPENED, I FEEL, YOU  
11 SEE WHAT I'M SAYING, THAT THE TIME IS BEING SPENT ON  
12 THE INTERIM ONES WHERE I THINK THE STAKES ARE VERY  
13 SMALL. AND WHAT WE REALLY NEED TO DO IS TO MOVE ON TO  
14 THOSE MORE IN-DEPTH DISCUSSIONS AND JUST PUT THIS  
15 BEHIND US AND SAY IT'S DONE. I DON'T THINK THERE'S ANY  
16 HARM DONE IN THIS.

17 CO-CHAIR LO: THE VERY ISSUES YOU'VE  
18 HIGHLIGHTED IN TERMS OF THE PROBLEMS WITH THIS ENTIRE  
19 BANKING SECTION, IT MAY VERY WELL BE THAT THE  
20 SUBCOMMITTEE WILL HELP US ARRIVE AT FINAL, DRAFT FINAL  
21 GUIDELINES THAT ARE ACTUALLY MORE THOUGHTFUL AND  
22 BETTER. THE QUESTION IS IS THAT SOMETHING THAT NEEDS  
23 TO BE DONE OVER THE LONG HAUL.

24 CO-CHAIR LANSING: AGAIN, I WANT TO  
25 REEMPHASIZE WHAT ZACH IS SAYING BECAUSE I THINK, AND

1 THIS COMES FROM A LAY PERSPECTIVE, I ALWAYS THOUGHT  
2 THAT THESE INTERIM GUIDELINES WERE JUST TEMPORARY, AND  
3 THEY WERE JUST TO GET US THROUGH. AND UNLESS THERE WAS  
4 SOMETHING ABSOLUTELY HORRENDOUS, DO YOU KNOW, THAT  
5 VIOLATED EVERYTHING, WE WERE JUST GOING TO APPROVE  
6 THESE LIKE LITERALLY IN A VERY PERFUNCTORY WAY, AS ZACH  
7 SAID, BUT THEN THE WHOLE POINT OF TODAY AND THE NEXT  
8 200 DAYS OR WHATEVER IT IS, WE HAVE TILL NOVEMBER  
9 WHATEVER, WAS TO REALLY DIG IN AND THROW OUT THE WHOLE  
10 BANKING THING, IF YOU'RE TELLING ME IT'S NOT WELL  
11 WRITTEN, AND THEN TO CHALLENGE WHETHER WE'RE THE  
12 WATCHDOG FOR EVERYBODY, BUT REALLY TO DO THE WORK.

13 AND I THINK THAT'S WHAT WE'RE SAYING. THESE  
14 ARE BY NO MEANS ANYTHING THAT WE SHOULD ACCEPT OR  
15 WHAT'S THE PURPOSE OF OUR COMMITTEE?

16 DR. KIESSLING: THIS IS A SECTION IN WHICH  
17 ADOPTING THIS IS GOING TO CAUSE MORE PROBLEMS THAN IT'S  
18 GOING TO ALLEVIATE. THIS SECTION SHOULD BE TABLED. IT  
19 SHOULD BE TAKEN OUT OF HERE AS PART OF THE INTERIM. IT  
20 SHOULD BE NOT PART OF THE INTERIM GUIDELINES AT ALL.  
21 AND IT SHOULD BE ONE OF THOSE THINGS THAT IS TO BE  
22 DEVELOPED.

23 MR. SHESTACK: WHY?

24 DR. KIESSLING: BECAUSE IT'S VERY -- IF YOU  
25 WERE SIMPLY AN INVESTIGATOR AND WHAT YOU WANT TO GIVE



1 OUT IN THE FALL ARE TRAINING GRANTS, IF YOU WERE AN  
2 INVESTIGATOR AND YOU SIMPLY WANTED TO GET A CELL LINE  
3 FROM SOMEPLACE AND YOU WANTED TO GET STARTED, THIS  
4 WOULD KEEP YOU FROM DOING IT.

5 CO-CHAIR LANSING: SEE, HERE'S MY PROBLEM.

6 DR. KIESSLING: EVEN AS AN INTERIM, IT WOULD  
7 KEEP YOU FROM DOING IT.

8 CO-CHAIR LANSING: I SO RESPECT BOTH OF YOU,  
9 AND I'M SURE YOU'RE RIGHT. I DON'T HAVE ANY  
10 INFORMATION. I'M NOT PART OF THE COMMITTEE. HONESTLY,  
11 I CAN ABSTAIN.

12 DR. EGGAN: AS A SCIENTIST, I CAN TELL YOU  
13 THAT THAT'S THE CASE. I WOULD LOOK AT THIS, AND THEN I  
14 WOULD HAVE TO GO AND I WOULD HAVE TO CALL THE ASSISTANT  
15 TO THE PROVOST, AND THE ASSISTANT PROVOST WOULD HAVE TO  
16 GO TO THE PROVOST AND SAY AS A UNIVERSITY, WE HAVE TO  
17 DO THIS THING. AND THEN THERE'D BE A MEETING ABOUT  
18 THAT AND A MEETING ABOUT THAT, AND THEN NOTHING WOULD  
19 HAPPEN IN A YEAR.

20 DR. KIESSLING: TO NOT HAVE THIS INCLUDED IN  
21 THE GUIDELINES RIGHT NOW IS NOT GOING TO INHIBIT  
22 RESEARCH, IT'S NOT GOING TO ALLOW FAULTY RESEARCH TO GO  
23 FORWARD. IF YOU TAKE THIS OUT NOW AND SAY WE'RE  
24 DEVELOPING THIS, WE'RE GOING TO WORK ON BANKING AND  
25 DISTRIBUTION, AND PART OF OUR INTERIM GUIDELINES, IT'S

1 NOT INCLUDED, BUT IT'S COMING, YOU WILL DO EVERYONE A  
2 SERVICE.

3 CO-CHAIR LANSING: I HAVE A QUESTION. THIS  
4 IS SO OUT OF MY LEAGUE. I JUST WANT TO VOTE WITH MY  
5 CONSCIENCE. CAN THEY THEN DO THE WORK WITHOUT ANYTHING  
6 THERE THAT TELLS THEM WHAT TO DO?

7 DR. KIESSLING: YES. YES. THE ONLY THING  
8 THEY NEED IS IF YOU WANT EVERYBODY WHO'S DOING ES CELL  
9 WORK TO KEEP A REGISTRY, THAT'S FINE. THAT CAN BE DONE  
10 AT THE LABORATORY LEVEL.

11 MR. SHESTACK: TRAINING GRANTS WILL PRODUCE  
12 STEM CELLS, FOR INSTANCE?

13 DR. HALL: LET ME MAKE A SUGGESTION. I  
14 UNDERSTAND EXACTLY WHAT YOU'RE SAYING, AND I SHARE MANY  
15 OF YOUR POINTS OF VIEW. I SUGGEST YOU DECIDE IT ONE  
16 WAY OR THE OTHER RIGHT NOW, AND THEN LET'S GO ON AND  
17 HAVE DISCUSSION OF THE WORKING GROUPS. I JUST THINK  
18 THAT IT DOESN'T MATTER THAT MUCH EITHER WAY. WE WILL  
19 TELL PEOPLE WHO CALL, IF WE PASS THEM AS THEY ARE,  
20 LOOK, THIS MATTER IS BEING DISCUSSED. THIS SECTION IS  
21 LIKELY TO BE COMPLETELY REVISED. DON'T WORRY ABOUT IT.

22 DR. CIBELLI: BUT THERE ARE MEMBERS HERE WHO  
23 DON'T UNDERSTAND WHAT WE'RE TALKING ABOUT AND WHY WE'RE  
24 GOING TO DROP THIS OFF.

25 DR. HALL: WHATEVER YOU WANT TO DO, I DON'T

1 MIND. SAY YOU'RE GOING TO KEEP IT OR DROP IT, BUT I  
2 SUGGEST YOU MAKE THE DECISION AND LET'S MOVE ON AND  
3 HAVE THE REAL DISCUSSION.

4 CO-CHAIR LANSING: CAN I MAKE A SUGGESTION  
5 THEN? WHY DON'T WE HAVE THE REPORTS FROM THE STUDY  
6 GROUP, COME BACK TO THIS ISSUE, AND JEFF'S ISSUE, AND  
7 DECIDE WHETHER OR NOT WE WANT TO LEAVE IT AS IS OR  
8 WHETHER WE WANT TO REFER IT TO MORE DETAIL. I'M  
9 COMPLETELY --

10 DR. WILLERSON: I HAVE ANOTHER SUGGESTION.  
11 THAT IS, INDICATE THAT A LIBRARY RECORD OF CELLS MUST  
12 BE MAINTAINED AND INDICATE IN THIS SECTION THAT THIS  
13 SECTION IS UNDER DEVELOPMENT, UNDER CONSIDERATION AND  
14 DEVELOPMENT. THERE WILL BE GUIDELINES LATER.

15 MR. KLEIN: IS THAT A MOTION?

16 DR. KIESSLING: TO KEEP SECTION 9(A)?

17 DR. WILLERSON: NO. THERE'S A LOT OF  
18 DIFFERENCE OF OPINION IN HERE. I'M TALKING ABOUT THIS  
19 SECTION THAT SEVERAL OF YOU WANT TO DELETE. I THINK  
20 WHAT YOU COULD PUT IS A SENTENCE HERE THAT SAYS A  
21 LIBRARY OF RECORD OF CELLS THAT ARE USED MUST BE  
22 MAINTAINED AT EACH INSTITUTION. THAT ONLY MAKES SENSE.  
23 AND SAY WHAT THAT WOULD INCLUDE. THEN THE REST OF IT  
24 ABOUT STEM CELL BANKING SPECIFICALLY, THIS IS UNDER  
25 CONSIDERATION BY THE COMMITTEE, AND THERE WILL BE

1 GUIDELINES LATER SO THAT PEOPLE KNOW THEY'RE COMING,  
2 BUT IT DOESN'T REQUIRE SOMEBODY TO GO OUT AND BUILD  
3 SOMETHING RIGHT NOW.

4 TWO OTHER POINTS. THERE ARE ALREADY SOME  
5 FEDERAL STEM CELL CENTERS. THERE ARE PEOPLE AROUND THE  
6 COUNTRY -- MY DAUGHTER IS IN LABOR RIGHT NOW, AND SHE  
7 AND HER MOTHER HAVE ARRANGED FOR CELLS TO BE KEPT THAT  
8 ARE SENT TO SOME FEDERAL CENTER. MOST OF THE PEOPLE  
9 THAT ARE DOING THAT WANT TO USE THEM PERSONALLY. THEY  
10 WANT TO USE THEM FOR THEIR CHILDREN, THEY WANT TO USE  
11 THEM FOR THEIR FAMILIES. THERE WILL BE REASONS TO HAVE  
12 STEM CELL BANKING FOR OTHER PURPOSES, OF COURSE, BUT WE  
13 SHOULD KEEP IN MIND THAT A LOT OF THE PEOPLE ARE DOING  
14 THAT RIGHT NOW. INDIVIDUALS HAVE VERY PERSONAL  
15 INTEREST IN THEM. THEY'RE NOT INTERESTED IN HUMANITY.  
16 THEY'RE INTERESTED IN THEIR FAMILIES.

17 MR. SHESTACK: STEM CELL BANKING, IT'S NOT  
18 THE SAME KIND OF BANKING WE'RE TALKING ABOUT HERE.

19 MR. KLEIN: BUT STAYING ON HIS POINT, DR.  
20 WILLERSON, IS THAT A MOTION TO --

21 DR. WILLERSON: I'M TRYING TO BRING US --

22 MR. KLEIN: I WOULD SECOND THAT MOTION IF  
23 YOU'RE MAKING A MOTION.

24 DR. WILLERSON: I'M TRYING TO BRING US  
25 TOGETHER AND NOT SPEND A WHOLE LOT OF TIME ON THIS WHEN

1 WE' RE NOT READY TO APPROVE IT OR FINALIZE IT.  
2 MR. KLEIN: I THINK IT'S AN EXCELLENT  
3 SUGGESTION. I'D LIKE TO SECOND THAT AS A MOTION. MY  
4 UNDERSTANDING IS THAT SECTION 9, WE WOULD STATE THAT  
5 THE INSTITUTIONS WILL MAINTAIN A LIBRARY OF --  
6 DR. WILLERSON: MAINTAIN A REGISTRY.  
7 MR. KLEIN: -- A REGISTRY OF THE CELLS.  
8 DR. EGGAN: THAT'S 9(A).  
9 DR. KIESSLING: 9(A) IS FINE.  
10 MR. SHESTACK: IF YOU WANT TO MAKE THIS NOT  
11 HAVE TEETH --  
12 MR. KLEIN: CAN I FINISH MY POSITION, PLEASE?  
13 SO 9(A), DOES 9(A) STATE YOUR FIRST POINT?  
14 DR. WILLERSON: I THINK IT'S OKAY.  
15 MR. KLEIN: SO THEN 9(B) WOULD SAY THE  
16 BALANCE OF THIS SECTION IS UNDER DEVELOPMENT. IT WILL  
17 ADDRESS THE STEM CELL BANK. INFORMATIONALLY, SOME OF  
18 THE THINGS UNDER CONSIDERATION, YOU COULD SHOW THE  
19 MATERIAL --  
20 DR. WILLERSON: IN A LATER DOCUMENT.  
21 MR. KLEIN: SHOW THE MATERIAL, BUT WE WOULD  
22 NOT ADOPT THE BALANCE OF SECTION 9.  
23 DR. KIESSLING: RIGHT.  
24 MR. KLEIN: I WOULD SECOND THAT AS A MOTION.  
25 DR. WILLERSON: I WOULD MAKE IT AS A MOTION.

1 CO-CHAIR LO: WE HAVE A MOTION. IT'S BEEN  
2 SECONDED. BASICALLY WE'RE --  
3 MR. SHESTACK: I'M SORRY.  
4 CO-CHAIR LO: DISCUSSION ON THIS. LET ME  
5 JUST SAY THE OTHER OPTION IS WE CAN DO WITH THIS WHAT  
6 WE DID WITH THE ESCRO/IRB RELATIONSHIP. WE CAN SAY  
7 WE'VE GOT TO DECIDE THIS AT THE END OF THE DAY. WE  
8 COULD INVITE THE COMMITTEE REPORT FROM THE BANKING  
9 SUBCOMMITTEE TO ADDRESS US. IF YOU FEEL THAT WE'D MAKE  
10 A BETTER DECISION, SAY, AT 5 O'CLOCK OR 5:30 AFTER  
11 HEARING THAT REPORT, WE CAN ALSO DEFER THIS. DR.  
12 WILLERSON HAS MADE A MOTION THAT, I THINK, FOLLOWS IN  
13 THE SPIRIT OF ALTA'S ORIGINAL MOTION, WHICH SHE  
14 SUBSEQUENTLY WITHDREW, WHICH IS TO SORT OF REMOVE AS  
15 INTERIM GUIDELINE LANGUAGE ALL OF SECTION B, C, AND D,  
16 AS I UNDERSTAND IT, PERTAINING TO THE STORAGE.  
17 DR. KIESSLING: ALL OF PAGE 7 AND 8.  
18 MR. KLEIN: THAT'S RIGHT. AND INDICATE THAT  
19 THEY'RE UNDER DEVELOPMENT.  
20 CO-CHAIR LANSING: BUT YOU WOULD SEE IT. IT  
21 WOULD STILL BE THERE AS WE READ. WOULDN'T BE  
22 DELETED.  
23 MR. KLEIN: INFORMATIONALLY YOU DELETE IT.  
24 DR. KIESSLING: I WOULD DELETE IT.  
25 CO-CHAIR LO: ARE YOU PROPOSING THAT WE LEAVE

1 IT OR DELETE IT? I WASN'T SURE.

2 MR. SHESTACK: I'M SORRY. I WOULD LIKE TO  
3 HEAR THE COMMITTEE'S REPORT. I WAS ACTUALLY ON THIS  
4 COMMITTEE, AND I WOULD LIKE TO HEAR STEVE'S REPORT. I  
5 WOULD BE -- I COULDN'T BE MORE AGAINST, UNTIL I HAVE  
6 THAT INFORMATION, DELETING THIS WHOLE SECTION ALTHOUGH  
7 IT MAY BE THAT THERE'S SOME SIMPLE WORDSMITHING THAT  
8 MAKES THIS -- WITHOUT IT IT MAKES IT RIDICULOUS AND  
9 IMPRACTICAL AND PUTS A RIDICULOUS BURDEN ON  
10 INSTITUTIONS, BUT I THINK IT IS SO MUCH IN THE OVERALL  
11 ZEITGIEST PHILOSOPHY OF WHAT CIRM IS ABOUT. IT IS 100  
12 PERCENT WHAT I AM HERE AS AN ADVOCATE TO BE ABOUT, TO  
13 STRESS THE IMPORTANCE OF BANKING, TO STRESS THE  
14 IMPORTANCE OF ULTIMATELY A DISTRIBUTION OF STEM CELL  
15 LINES FUNDED BY THE CITIZENS OF CALIFORNIA TO THE  
16 SCIENTISTS OF THE ENTIRE WORLD AT A HEAVILY SUBSIDIZED  
17 COST AS WELL IS THE ONLY REASON I'M HERE AS AN ADVOCATE  
18 OF THE MENTAL HEALTH COMMUNITY TO DO THAT.

19 SO UNTIL I KNOW THAT SOMEHOW STRIKING THIS  
20 FROM THE RECORD FOR CONVENIENCE WON'T HAVE A  
21 DELETERIOUS EFFECT ON PUTTING THAT POLICY IN LATER, I'M  
22 NOT WILLING TO DO IT. I UNDERSTAND THAT IT MAY VERY  
23 WELL BE THAT WE MAY HAVE TO DO THAT. I DON'T WANT TO  
24 SEND ANY OTHER SIGNALS OUT RIGHT NOW UNTIL I HAVE MORE  
25 INFORMATION.

1 DR. WILLERSON: MY INTENTION WAS NOT TO OMIT  
2 IT PERMANENTLY, BUT TO DEFER IT, TO GIVE US A CHANCE TO  
3 ADDRESS IT IN THE DETAIL AND WITH THE TIME THAT WE  
4 NEED.

5 MR. SHESTACK: RIGHT. WE HAVE TO FIND A WAY  
6 TO DEFER IT AND STATE STRONGLY THAT IT IS AN OBJECTIVE.

7 DR. WILLERSON: THAT CAN BE MADE CLEAR.

8 CO-CHAIR LO: COULD I, AS A CHAIRMAN, LET ME  
9 OFFER A SET OF PROCEDURES HERE. I WOULD SUGGEST THAT  
10 WE TABLE DR. WILLERSON'S MOTION SO THAT IT'S THE SAME  
11 AS THE MOTION WITH THE ESCRO/IRB RELATIONSHIP. WE  
12 SPEND SOME TIME HEARING FROM OUR SUBCOMMITTEE ON  
13 BANKING, FROM STEVE PECKMAN ON THE IRB/ESCRO  
14 RELATIONSHIP, AND THAT WE AGREE TO COME BACK AT  
15 WHATEVER TIME WE WANT, 5:15, FOR EXAMPLE, AND SAY WE  
16 WILL RESOLVE THOSE TWO ISSUES, BUT TO DEFER THE  
17 DECISION TILL WE'VE HAD A LITTLE MORE INFORMATION. A  
18 DECISION AT 5:15 WILL BE EITHER TO ADOPT THESE  
19 RESOLUTIONS, TO ACCEPT OTHER AMENDMENTS, OR TO DO  
20 ANYTHING ELSE, BUT IT WOULD GIVE US A CHANCE TO GET A  
21 LITTLE MORE INFORMATION BEFORE VOTING ON THE DRAFT OR  
22 THE INTERIM GUIDELINES.

23 CO-CHAIR LANSING: I WOULD LIKE TO SUPPORT  
24 WHAT YOU ARE SAYING, BUT I WOULD ALSO LIKE TO JUST ADD  
25 ONE THING, THAT EVERYTHING THAT IS IN THIS GUIDELINE IS



1 UNDER DEVELOPMENT. THAT'S WHAT WE'RE HERE FOR. DO YOU  
2 KNOW? I KEEP COMING BACK TO THIS. EVERYTHING THAT WE  
3 HAVE -- I THINK WE SHOULD DO EXACTLY WHAT YOU'RE  
4 SAYING. I THINK WE SHOULD MOVE FORWARD, BUT IT IS EASY  
5 FOR US TO EXPLAIN TO ALL THE INSTITUTIONS AND IN PUBLIC  
6 SESSION TOMORROW THAT'S WHAT WE'RE DOING HERE. WE WANT  
7 TO HEAR WHAT YOU THINK ABOUT THIS AND CHANGE IT.

8 DR. EGGAN: I JUST DON'T THINK YOU CAN DO  
9 THAT BECAUSE YOU CAN'T GIVE SOMEONE INTERIM GUIDELINES  
10 AND THEN TELL THEM THEY DON'T HAVE TO FOLLOW THEM.  
11 YOU'VE GOT TO GIVE THEM GUIDELINES THEY CAN FOLLOW. SO  
12 I HAVE A FUNDAMENTAL DISAGREEMENT WITH WHAT YOU JUST  
13 SAID.

14 CO-CHAIR LANSING: I'M NOT SAYING THAT. I AM  
15 SAYING TO YOU -- I AM SAYING THAT.

16 DR. EGGAN: WE'VE GOT TO GET THINGS THAT THEY  
17 CAN WORK WITH IN THE MEANTIME. IF WE'RE GOING TO MAKE  
18 SUBSTANTIVE CHANGES, THEN I THINK WE SHOULD OMIT THEM.  
19 OTHERWISE WE'RE GOING TO MAKE THEM SPEND -- A LOT OF  
20 PEOPLE AT A LOT OF INSTITUTIONS ARE GOING TO SPEND A  
21 LOT OF TIME WORRYING ABOUT HOW TO MAKE THIS WORK IN THE  
22 INTERIM. AND ALL THAT IS GOING TO BE FOR NAUGHT.  
23 THAT'S WHAT I WORRY ABOUT.

24 DR. KORDOWER: IF WE JUST SAID --

25 DR. HALL: I DON'T AGREE WITH THAT, BUT I

1 TAKE YOUR POINT. I THINK IF YOU WANT TO GET RID OF THE  
2 BANKING SECTION, THAT IS FAIR. THE ESCRO THING, I  
3 THINK, IS A MUCH MORE SERIOUS ISSUE, BUT THE BANKING  
4 THING --

5 CO-CHAIR LO: WE CAN VOTE THAT AT 5:15.

6 DR. KORDOWER: IF WE JUST SAID INSTITUTIONS  
7 ENGAGED IN HUMAN EMBRYONIC STEM RESEARCH SHALL BE  
8 ENCOURAGED AT PRESENT AND POSSIBLY MANDATED IN THE  
9 FUTURE, AND THEN LET EVERYTHING ELSE FOLLOW, DOESN'T  
10 THAT SOLVE THE PROBLEM? THEY GET STARTED ON THE  
11 TRAINING GRANTS. IT TELLS THEM WHAT OUR DIRECTION IS  
12 GOING AND WE'RE DONE AND WE CAN MOVE FORWARD.

13 DR. PRIETO: MR. CHAIRMAN, I'D LIKE TO MAKE A  
14 MOTION THAT WE ACCEPT YOUR SUGGESTION TO DEFER THIS  
15 DECISION UNTIL AFTER WE'VE HEARD THE REPORTS --

16 MR. KLEIN: SECOND.

17 DR. PRIETO: -- OF THE STUDY GROUPS AND COME  
18 BACK TO IT AT 5 O'CLOCK.

19 CO-CHAIR LO: AT 5 O'CLOCK. ALL THOSE IN  
20 FAVOR.

21 DR. PETERS: MAY I ASK A QUESTION? WHAT IS  
22 YOUR INTENTION OR WHAT DO YOU WANT TO ACCOMPLISH? WILL  
23 YOU GIVE EACH OF THE STUDY GROUPS AN EQUAL AMOUNT OF  
24 TIME, OR WILL YOU EMPHASIZE ONLY THE BANKING STUDY?

25 CO-CHAIR LO: I THINK RIGHT NOW -- MY GOAL IS

1 TO TRY AND HAVE US REACH CLOSURE ON INTERIM GUIDELINES.  
2 IT SEEMS LIKE THE TWO ISSUES ON WHICH MORE INFORMATION  
3 FROM INDIVIDUALS AND GROUPS WHO HAVE THOUGHT THEM  
4 THROUGH IN MORE DETAIL ARE THE IRB/ESCRO RELATIONSHIP  
5 AND THE BANKING. SO I WAS THINKING OF EMPHASIZING  
6 THOSE, TRYING TO THEN VOTE AT FIVE ON OUR INTERIM  
7 GUIDELINES. AND THEN THE OTHER WORKING GROUPS WILL  
8 HAVE A MUCH, MUCH SHORTER PERIOD OF TIME. IF BEFORE  
9 FIVE WE FEEL THAT WE HAVE -- THE SENSE IS THAT WE'RE  
10 READY TO MAKE DECISIONS ON EITHER OF THOSE TWO ISSUES  
11 I'VE IDENTIFIED, I'M GLAD HAVE A VOTE THEN, BUT THERE  
12 ARE SEVERAL DIFFERENT PROPOSALS HERE WITH REGARD TO THE  
13 BANKING. ONE IS TO ADOPT AS IS. ONE IS TO DELETE  
14 PAGES 7 AND 8. AND THE OTHER IS TO MODIFY EITHER  
15 DR. WILLERSON'S OR JEFF KORDOWER'S PROPOSAL TO CHANGE,  
16 BUT THEY'RE ALL SOMEWHAT DIFFERENT. MY ONLY THOUGHT  
17 WAS THAT IF WE HEARD A LITTLE MORE FROM THE BANKING  
18 GROUP THAT THOUGHT ABOUT THIS, WE MAY FEEL WE'RE MAKING  
19 A BETTER DECISION. SO THAT'S ALL. I'M JUST POSTPONING  
20 A VOTE TILL FIVE, AND IN THE MEANTIME, RATHER THAN  
21 TALKING ABOUT PROCEDURE, TALK ABOUT SUBSTANCE.

22 SO THAT HAVING BEEN SAID, WE HAD ASKED STEVE  
23 PECKMAN FROM UCLA TO GIVE US A PRESENTATION.

24 THE REPORTER: BEFORE THAT, COULD WE TAKE A  
25 VERY SHORT BREAK?

1 MR. KLEIN: I THINK IT'S A COMFORT BREAK  
2 THAT'S BEING REQUESTED BY THE TRANSCRIPTIONIST.

3 CO-CHAIR LO: I THINK THAT'S ABSOLUTELY FINE,  
4 A TEN-MINUTE COMFORT BREAK. AND THEN WE WILL COME BACK  
5 TO HEAR FIRST STEVE AND THEN --

6 (A RECESS WAS TAKEN.)

7 CO-CHAIR LO: COULD I INVITE THE WORKING  
8 GROUP TO RECONVENE. OKAY. IN OUR EFFORT TO TRY AND  
9 BOTH ALLOW FOR SOME THOUGHTFUL DELIBERATION, BUT ALSO  
10 TO MAKE A DECISION ON OUR INTERIM GUIDELINES BY THE  
11 CLOSE OF THE MEETING, I'D LIKE TO KIND OF RECONVENE US  
12 HERE.

13 AT THE BREAK WE HAD TWO MAJOR ISSUES THAT WE  
14 WANTED TO HEAR A LITTLE MORE INFORMATION ON BEFORE WE  
15 VOTED ON THE INTERIM GUIDELINES. FIRST WAS THE  
16 FUNCTION AND STRUCTURE OF ESCRO'S VIS-A-VIS IRB'S. AND  
17 WHAT I WOULD LIKE TO DO IS SORT OF REALLY KEEP A CLOSE  
18 EYE ON THE CLOCK HERE. I HAVE 3:52. I WOULD LIKE TO  
19 SPEND THE NEXT 30 MINUTES DEALING WITH THE ESCRO/IRB  
20 ISSUE, AND THEN EXACTLY AT 4:20 SWITCH OVER TO THE  
21 REGISTRY BANKING ISSUE AND TURN TO OUR SUBCOMMITTEE  
22 THAT ACTUALLY THOUGHT ABOUT THIS AND HAD A CONFERENCE  
23 CALL, AND THEN AT FIVE, HOPEFULLY A LITTLE BEFORE FIVE,  
24 ACTUALLY COME BACK TO THE TABLED MOTIONS WE HAVE ON  
25 WHAT TO DO ABOUT OUR DRAFT INTERIM GUIDELINES ON THOSE

1 TWO ISSUES.

2 MY UNDERSTANDING IS THAT THERE ARE NO OTHER  
3 OUTSTANDING ISSUES WITH REGARD TO THE INTERIM  
4 GUIDELINES, SO THAT DEPENDING HOW WE DECIDE ON THOSE  
5 TWO ISSUES, WE WILL HAVE A SET OF INTERIM GUIDELINES TO  
6 RECOMMEND TO THE OVERSIGHT COMMITTEE. WITH THAT, I'D  
7 LIKE TO ASK STEVE PECKMAN FROM UCLA TO GIVE US AN  
8 OVERVIEW OF WHAT IT'S LIKE FROM AN INSTITUTION DOING  
9 STEM CELL RESEARCH TO DEAL WITH THE GUIDELINES THAT WE  
10 MAY BE PROPOSING. AND, AGAIN, THE UNDERSTANDING IS  
11 THAT I'VE ASKED STEVE TO SEPARATE OUT IN THIS  
12 PRESENTATION ISSUES THAT WE NEED TO HAVE IN MIND AS WE  
13 VOTE ON INTERIM GUIDELINES AND OTHER ISSUES THAT WE  
14 ALSO HAVE TO CONSIDER IN DRAFTING DRAFT FINAL  
15 GUIDELINES. AND WE MAY WELL, FOR EXAMPLE, WANT HIM TO  
16 HELP US THINK THROUGH THOSE AT A LATER DATE. IF WE  
17 COULD ASK YOU TO KEEP UNDER 15 MINUTES.

18 MR. PECKMAN: I'LL GIVE IT MY BEST SHOT. I'D  
19 LIKE TO THANK YOU FOR INVITING ME TODAY AND TO THANK  
20 PERSONALLY SHERRY AND BERNIE AND JEFF SHEEHY FOR  
21 DISCUSSING THESE ISSUES WITH ME, FOR KATE SHREVE AND  
22 GEOFF LOMAX FOR SETTING IT UP.

23 I'M GOING TO BEGIN BY STATING THAT I ACTUALLY  
24 READ THROUGH THE TRANSCRIPT OF YOUR LAST MEETING, SO  
25 I'M THANKFUL THAT THOSE TRANSCRIPTS EXIST BECAUSE

1 WITHOUT THEM, I WOULDN'T HAVE KNOWN WHAT WAS GOING ON  
2 AND I WOULDN'T BE HERE TODAY TALKING ABOUT THIS. IT'S  
3 OUR HOPE THAT WE CAN THINK ABOUT THE NAS GUIDELINES  
4 WITH A GOAL TOWARDS FLEXIBILITY, AND THAT WE COULD  
5 DISCUSS SOME OF THE IMPORTANT CONCEPTUAL AND  
6 IMPLEMENTATION DETAILS THAT WILL ENSURE THE HIGHEST  
7 ETHICAL AND SCIENTIFIC STANDARDS IN STEM CELL RESEARCH  
8 AND WILL PROMOTE PUBLIC CONFIDENCE IN OUR WORK.

9 SO AS FAR AS ESCRO'S AND IRB'S, IT APPEARS TO  
10 ME THE GOALS FOR REVIEW OF HUMAN EMBRYONIC STEM CELL  
11 RESEARCH ARE, OF COURSE, THE HIGHEST ETHICAL AND  
12 SCIENTIFIC STANDARDS IN THE RESEARCH. HIGH STANDARDS  
13 WILL PROMOTE PUBLIC CONFIDENCE, AND HOPEFULLY THERE  
14 WILL BE THOROUGH, EFFICIENT REVIEW THAT WILL AVOID  
15 DUPLICATION OF EFFORT. SO THERE SHOULD BE SOME  
16 METHODS, AND THE METHODS ARE THAT THERE'S POTENTIAL  
17 CONFLICTING CALIFORNIA STEM CELL LAW, WHICH JEFF SHEEHY  
18 ALLUDED TO EARLIER, AND THAT HOPEFULLY WE CAN FOCUS ON  
19 FLEXIBILITY IN AVOIDING LOCKING THE CIRM OR FUNDED  
20 INSTITUTIONS INTO A STRICT CONSTRUCTIONIST NAS MODEL  
21 WHEN THERE MAY BE MULTIPLE APPROPRIATE MODELS TO  
22 ACHIEVE THE SAME GOALS, AND REVIEW THIS AS A UNIQUE  
23 OPPORTUNITY TO CREATE A UNIFIED SYSTEM OF PROTECTIONS  
24 IN CALIFORNIA, ONE FOR BOTH CIRM-FUNDED RESEARCH AND  
25 FOR NON-CIRM FUNDED RESEARCH.

1                   THIS IS A VERY UNIQUE OPPORTUNITY WE HAVE  
2 BECAUSE THE DEPARTMENT OF HEALTH SERVICES HAS NOT YET  
3 CREATED THEIR OWN GUIDELINES, SO THEY'RE ABOUT NINE  
4 MONTHS LATER ON THEIRS.

5                   SO REVIEW REQUIREMENTS, I'M GOING TO TOUCH ON  
6 THREE PARTS: CALIFORNIA LAW AND CIRM RESPONSIBILITIES,  
7 FEDERAL REGULATIONS, AND THE NAS GUIDELINES. SO WHAT  
8 DOES THE CALIFORNIA LAW SAY? JEFF SHEEHY ALLUDED TO  
9 THE LAW. IT'S 125119. ALL RESEARCH PROJECTS INVOLVING  
10 THE DERIVATION OR USE OF HUMAN EMBRYONIC STEM CELLS  
11 SHALL BE REVIEWED AND APPROVED BY AN IRB AS ESTABLISHED  
12 IN ACCORDANCE WITH FEDERAL REGULATIONS, INCLUDING 45  
13 CFR 46, PRIOR TO BEING UNDERTAKEN. THIS IS FOR ALL  
14 HUMAN EMBRYONIC STEM CELL RESEARCH. OF COURSE, CIRM  
15 HAS THE ABILITY, AND I'LL GET TO THIS LATER, TO WRITE  
16 DIFFERENT LAWS FOR YOUR FUNDED RESEARCH.

17                   THE CALIFORNIA STATE LAW HAVE TO APPLY THE  
18 GUIDELINES DEVELOPED, AND THE DHS, DEPARTMENT OF HEALTH  
19 SERVICES, WHICH IS A CALIFORNIA ENTITY, NOT TO BE  
20 CONFUSED WITH THE DEPARTMENT OF HEALTH AND HUMAN  
21 SERVICES AT THE FEDERAL LEVEL, WERE REQUIRED TO SUBMIT  
22 GUIDELINES BY JANUARY 1ST OF THIS YEAR. IRB REVIEW  
23 MUST BE AT LEAST ONCE PER YEAR, AND IRB'S THAT CONDUCT  
24 SUCH REVIEW MUST REPORT ANNUALLY INFORMATION TO DHS.

25                   CIRM, AS I SAID, HAS THE AUTHORITY, AND AS

1 YOU UNDERSTAND, TO CREATE DIFFERENT REVIEW REQUIREMENTS  
2 FOR YOUR RESEARCH. POTENTIALLY THIS CREATES TWO  
3 CLASSES OF HUMAN EMBRYONIC STEM CELL RESEARCH LAW, ONE  
4 FOR YOUR SPONSORED RESEARCH AND ONE FOR OTHER CONDUCTED  
5 RESEARCH. AND THIS PLACES RESEARCH INSTITUTIONS WHO  
6 CONDUCT STEM CELL RESEARCH WITH AND WITHOUT CIRM FUNDS  
7 IN A VERY CHALLENGING POSITION IN TERMS OF UPHOLDING  
8 THE HIGHEST ETHICAL AND LEGAL STANDARDS, ENSURING HIGH  
9 SCIENTIFIC PROPOSALS, AND COMPLIANCE WITH ALL THE  
10 APPLICABLE LAWS.

11 SO WHAT I ASK YOU TO ENGAGE IN TODAY IS A  
12 DISCUSSION ON AN OPPORTUNITY TO CREATE FLEXIBILITY THAT  
13 WILL HARMONIZE REQUIREMENTS AND ENSURE CONSISTENT  
14 STANDARDS AND NOT BE SO CONCERNED ABOUT THE ACTUAL  
15 STRUCTURE OF HOW THOSE STANDARDS ARE CARRIED OUT. AS  
16 I'M GOING TO PRESENT, I BELIEVE THAT THERE ARE MULTIPLE  
17 PROCESSES FOR ACHIEVING THE SAME STANDARD GOALS.

18 SO WHAT DO IRB'S DO? THERE SEEM TO BE, IN  
19 READING THE TRANSCRIPT FROM YOUR LAST MEETING, SOME  
20 MISCONCEPTION ABOUT WHAT IRB'S DO. IRB'S ARE CREATED  
21 TO PROTECT THE RIGHTS AND WELFARE OF HUMAN SUBJECTS AS  
22 DEFINED BY FEDERAL REGULATIONS AND LOCAL LAWS. THEY'RE  
23 GOVERNED BY HHS AND FDA REGULATIONS, OTHER FEDERAL  
24 AGENCIES, STATE LAWS, AND INSTITUTIONAL POLICIES. MOST  
25 OF THEM HAVE HHS ASSURANCES, IF THEY'RE GOING TO GET



1 HHS FUNDING, AND THEY COMMONLY AGREE TO APPLY THE SAME  
2 STANDARDS OF REVIEW TO ALL HUMAN RESEARCH. MEMBERSHIP  
3 OF IRB'S HAVE TO HAVE A MINIMUM OF FIVE MEMBERS,  
4 SUFFICIENT SCIENTIFIC EXPERTISE AMONGST THOSE MEMBERS  
5 TO REVIEW THE PROTOCOLS THAT COME BEFORE THEM,  
6 DIVERSITY OF RACE, GENDER, AND CULTURAL BACKGROUND,  
7 SENSITIVITY TO COMMUNITY ISSUES, AND THEY HAVE TO HAVE  
8 AT LEAST ONE SCIENTIST AND ONE NONAFFILIATED MEMBER,  
9 WHICH IN THE MAJORITY OF IRB'S ARE CONSIDERED COMMUNITY  
10 MEMBERS BECAUSE THEY USUALLY DEVOLVE TO ONE OR SEVERAL  
11 PEOPLE WHO FULFILL BOTH ROLES.

12 SO WHAT DOES AN IRB DO? IT REVIEWS  
13 PROPOSALS. IT APPLIES ETHICAL STANDARDS. AND THE  
14 THREE ETHICAL STANDARDS WRITTEN INTO THE REGULATIONS  
15 ARE BENEFICENCE OR RISK BENEFIT ANALYSIS OF THE  
16 RESEARCH. JUSTICE, EQUITABLE SELECTION OF SUBJECTS AND  
17 DISTRIBUTION OF THE RISKS AND BENEFITS, AND RESPECT FOR  
18 PERSONS, WHICH ASSURES THE DIGNITY AND AUTONOMY OF THE  
19 SUBJECTS THROUGH A PROCESS WE COMMONLY REFER TO AS  
20 INFORMED CONSENT. I'M NOT GOING TO TALK ABOUT THE  
21 RESPECT FOR PERSON STANDARD TODAY BECAUSE I THINK WE'RE  
22 ALL VERY WELL FAMILIAR WITH IT, BUT INSTEAD I'M GOING  
23 TO FOCUS ON THE FIRST TWO ETHICAL PRINCIPLES,  
24 BENEFICENCE AND JUSTICE, AND THEY HAVE TO APPLY LEGAL  
25 STANDARDS.

1                   SO WHAT IS BENEFICENCE? IT'S ENSURING THE  
2 RISKS TO SUBJECTS ARE MINIMIZED BY USING PROCEDURES  
3 WHICH ARE CONSISTENT WITH SOUND RESEARCH DESIGN AND  
4 WHICH DO NOT UNNECESSARILY EXPOSE SUBJECTS TO RISK AND,  
5 WHENEVER APPROPRIATE, BY USING PROCEDURES ALREADY  
6 PERFORMED ON THE SUBJECTS FOR DIAGNOSTIC TREATMENT  
7 PURPOSES. TWO, RISKS TO SUBJECTS ARE REASONABLE IN  
8 RELATION TO ANTICIPATED BENEFITS, IF ANY, TO SUBJECTS  
9 AND THE IMPORTANCE OF THE KNOWLEDGE THAT MAY REASONABLY  
10 BE EXPECTED TO RESULT.

11                   WHY IS THIS IMPORTANT? BECAUSE IRB'S DO MORE  
12 THAN READ CONSENT FORMS. THEY DO MORE THAN MOVE  
13 SEMI COLONS INTO COMMAS. THEY'RE ACTUALLY RESPONSIBLE  
14 FOR REVIEWING THE SCIENCE OF RESEARCH AS IT IMPACTS THE  
15 RIGHTS AND WELFARE OF THE SUBJECTS THROUGH THIS ETHICAL  
16 PRINCIPLE OF BENEFICENCE. JUSTICE IS THE SELECTION OF  
17 SUBJECTS AS EQUITABLE, THAT YOU HAVE TO ASSESS THE  
18 PURPOSE AND SETTING OF THE RESEARCH IN ORDER TO ADDRESS  
19 SPECIAL ISSUES OF RESEARCH INVOLVING VULNERABLE  
20 POPULATIONS, SUCH AS CHILDREN, PRISONERS, PREGNANT  
21 WOMEN, MENTALLY DISABLED, ETC., ETC., ETC., AND THROUGH  
22 THIS PROCESS ENSURING THAT THE RISKS AND BENEFITS ARE  
23 EQUITABLY DISTRIBUTED AMONGST SOCIETY.

24                   SO WHAT'S THE FEDERAL DEFINITION OF A HUMAN  
25 SUBJECT? AND ALTA CHARO REFERRED TO THIS EARLIER. A

1 LIVING INDIVIDUAL ABOUT WHOM AN INVESTIGATOR CONDUCTING  
2 RESEARCH OBTAINS DATA THROUGH INTERVENTION OR  
3 INTERACTION WITH THE INDIVIDUAL OR IDENTIFIABLE PRIVATE  
4 INFORMATION. SO YOU HAVE TO BE ALIVE TO BE A HUMAN  
5 SUBJECT. IS A BLASTOCYST ALIVE BY FEDERAL REGULATORY  
6 DEFINITION? NO. A FETUS, AS DESCRIBED AND PROTECTED  
7 BY THE REGULATIONS, IS THE PRODUCT OF CONCEPTION FROM  
8 IMPLANTATION UNTIL DELIVERY. SO IF THE MATERIAL IS  
9 NEVER IMPLANTED INTO A WOMAN, IT IS NOT A HUMAN SUBJECT  
10 BY FEDERAL REGULATION STANDARDS. DEFINITION OF HUMAN  
11 RESEARCH SUBJECT DOES NOT INCLUDE BLASTOCYSTS THAT ARE  
12 IMPLANTED OR BLASTOCYSTS OR GAMETES WITHOUT IDENTIFIERS  
13 THAT COULD BE LINKED BACK TO THE DONORS. THEREFORE,  
14 SUCH MATERIAL IS NOT SUBJECT TO FEDERAL IRB REGULATORY  
15 OVERSIGHT. AND THIS FEEDS IN PERFECTLY WITH THE  
16 CONVERSATION YOU HAD EARLIER.

17 LABORATORY RESEARCH. DO THE HHS REGULATIONS  
18 COVER LABORATORY RESEARCH ON EMBRYOS CREATED FOR  
19 RESEARCH OR DERIVED FROM IVF PRIOR TO IMPLANTATION?  
20 NO. HHS, IRB REGULATIONS DO NOT COVER SUCH RESEARCH SO  
21 LONG AS THE PRODUCT IS NOT GIVEN TO A LIVING  
22 INDIVIDUAL. SO YOU MAY CREATE A THERAPEUTIC LATER IN  
23 TIME WHICH WOULD BE COVERED BY HHS REGULATIONS, BUT NOT  
24 BEFORE THAT TIME OR IF THE MATERIAL CONTAINS  
25 IDENTIFYING INFORMATION OF A LIVING INDIVIDUAL DONOR.

1 THEREFORE, SUCH MATERIAL USED IN HUMAN EMBRYONIC STEM  
2 CELL RESEARCH DOES NOT FALL WITHIN IRB PURVIEW UNDER  
3 THE FEDERAL REGULATIONS, BUT YOU HAVE TO REMEMBER THERE  
4 IS ANOTHER CALIFORNIA LAW THAT INSTITUTIONS ARE GOING  
5 TO BE REQUIRED TO IMPLEMENT REGARDLESS OF WHETHER THEY  
6 RECEIVE YOUR FUNDING OR NOT, WHICH IS ALL THAT OTHER  
7 STUFF THAT STILL HAS TO BE REVIEWED BY AN IRB.

8 AND I WOULD MAKE A STATEMENT TODAY THAT JUST  
9 BECAUSE THE CALIFORNIA LAW STATES THAT IRB REVIEW IS  
10 REQUIRED OF HUMAN EMBRYONIC STEM CELL RESEARCH, IT  
11 DOESN'T MEAN THAT THE CALIFORNIA LAW HAS IDENTIFIED A  
12 BLASTOCYST AS A HUMAN BEING. IT IS MY UNDERSTANDING OF  
13 READING THE HISTORY OF THE LEGISLATION THAT WHAT THEY  
14 WERE LOOKING FOR IS A WAY TO OVERSEE HUMAN EMBRYONIC  
15 STEM CELL RESEARCH. AND THEY LOOKED AROUND FOR A GROUP  
16 OF PEOPLE WHO WERE ALREADY DOING OVERSIGHT, AND THEY  
17 IDENTIFIED IRB'S AS THOSE SUBJECTS. BUT IN NO WAY DOES  
18 THE LAW STATE THAT IT IDENTIFIES A HUMAN BLASTOCYST AS  
19 A HUMAN SUBJECT.

20 SO WHAT DO THE NAS GUIDELINES SAY THAT YOU'VE  
21 BEEN DISCUSSING SO THOROUGHLY TODAY? YOU HAVE TO  
22 CREATE A LOCAL ESCRO COMMITTEE THAT WILL REVIEW ALL  
23 HUMAN EMBRYONIC STEM CELL RESEARCH. HE INTENDED THE  
24 GUIDELINES FOR THE ENTIRE U.S.A. THE NAS DID NOT WRITE  
25 THE GUIDELINES FOR CALIFORNIA, NOR DID THEY CONSIDER

1 THAT CALIFORNIA MAY HAVE DIFFERENT LAWS AND BE SUBJECT  
2 TO DIFFERENT STANDARDS. THEY STRUCTURED THE ESCRO  
3 MEMBERSHIP SIMILAR TO IRB'S AND HIGHLIGHTED SIMILAR  
4 RESPONSIBILITIES, UNDERSTANDING THAT HUMAN EMBRYONIC  
5 STEM CELL RESEARCH, INCLUDING NONHUMAN SUBJECT  
6 LABORATORY RESEARCH, SHOULD HAVE ETHICAL AND SCIENTIFIC  
7 OVERSIGHT. AND THEY WANTED TO ENSURE AN IRB-TYPE  
8 REVIEW OCCURRED WHEN FEDERAL IRB HUMAN RESEARCH  
9 REGULATIONS BY IRB REVIEW DID NOT APPLY AND LOCAL LAWS  
10 DID NOT REQUIRE IRB REVIEW.

11 SO WHAT DID THE NAS SAY AN ESCRO COMMITTEE  
12 SHOULD CONSIST OF? DEVELOPMENTAL BIOLOGISTS, STEM CELL  
13 RESEARCHER, MOLECULAR BIOLOGIST, ASSISTED REPRODUCTION  
14 SPECIALIST, AND SOMEONE INVOLVED WITH ETHICAL AND LEGAL  
15 ISSUES, AND COMMUNITY MEMBERS. AND, GOSH, THAT STARTS  
16 TO LOOK A WHOLE LOT LIKE AN IRB THAT HAS EXPLICIT  
17 SCIENTIFIC EXPERTISE TO REVIEW THE RESEARCH THAT COMES  
18 BEFORE IT PLUS COMMUNITY MEMBERS AND PEOPLE WHO ARE  
19 INVOLVED WITH ETHICS.

20 SO WHAT ARE THE RESPONSIBILITIES OF AN ESCRO  
21 COMMITTEE? PROVIDE OVERSIGHT FOR ALL ISSUES RELATED TO  
22 DERIVATION AND USE OF STEM CELLS, REVIEW AND APPROVE  
23 THE SCIENTIFIC MERIT OF EMBRYONIC STEM CELL PROPOSALS,  
24 REVIEW COMPLIANCE OF ALL IN-HOUSE EMBRYONIC STEM CELL  
25 RESEARCH WITH APPLICABLE REGULATIONS AND GUIDELINES,

1 MAINTAIN REGISTRIES, AND ACCOUNT FOR ALL RESEARCH, AND  
2 PROVIDE EDUCATION.

3 THIS IS WHERE WE'RE RUNNING INTO TROUBLE  
4 THOUGH. AS AN INSTITUTION THAT'S HAD TO IMPLEMENT NOT  
5 ONLY THE CALIFORNIA LAW FOR NON-CIRM RESEARCH, BUT ALSO  
6 LOOK AT NAS GUIDELINES AS APPROPRIATELY THOUGHT OUT AND  
7 INTELLIGENT GUIDELINES FOR THE MAJORITY OF RESEARCH WE  
8 DO, BUT IT RESULTS IN A POSSIBLE DUPLICATION OF EFFORT.  
9 THERE ARE OVERLAPPING DUTIES AND POTENTIAL FOR LOCAL  
10 FLEXIBILITY THAT NEEDS TO BE ADDRESSED. NAS EXPLICITLY  
11 STATED A PREEXISTING COMMITTEE COULD SERVE THE  
12 FUNCTIONS OF THE ESCRO COMMITTEE PROVIDED THAT IT HAS  
13 THE RECOMMENDED EXPERTISE AND REPRESENTATION TO PERFORM  
14 THE VARIOUS ROLES DESCRIBED IN THIS REPORT.

15 TWO, AND THAT THE INSTITUTION SHOULD CARE AND  
16 SHOULD BE TAKEN THAT THE ESCRO COMMITTEE DOES NOT  
17 DUPLICATE OR INTERFERE WITH THE PROPER FUNCTIONS OF AN  
18 IRB. THE FUNCTIONS OF IRB'S AND ESCRO COMMITTEES ARE  
19 DISTINCT AND SHOULD NOT BE CONFUSED. AND THIS, OF  
20 COURSE, WOULD BE VERY APPROPRIATE FOR LABORATORY-BASED  
21 RESEARCH IN WHICH IRB REVIEW IS NOT REQUIRED BY LOCAL  
22 LAW.

23 SO A COMPARISON CHART. THIS MAY BE DIFFICULT  
24 FOR YOU TO READ BECAUSE IT HAD TO GO DOWN TO PRETTY  
25 SMALL TYPE. LET'S SEE IF I CAN POINT SOME AREAS OUT

1       HERE.   SO MEMBERSHIP:   ESCRO AND IRB, ACCORDING TO 45  
2       CFR 46 AND NAS GUIDELINES.   SCIENTIFIC EXPERTISE:  
3       ESCRO, YES; IRB, YES.   MEDICAL EXPERTISE:   ESCRO, YES;  
4       IRB, YES, FOR BIOMEDICAL RESEARCH, WHICH THIS RESEARCH  
5       IS.   ETHICS EXPERTISE:   ESCRO, YES; IRB, NOT  
6       SPECIFICALLY, BUT CERTAINLY IMPLIED.   COMMUNITY  
7       MEMBERSHIP:   ESCRO, YES; IRB, YES.

8                   AND THEN DIVERSITY OF MEMBERSHIP:   ESCRO, NO;  
9       IRB, YES.   DUTIES, SCIENTIFIC EVALUATION:   ESCRO, YES;  
10      IRB, YES, AS THE RESEARCH DESIGN IMPACTS THE RIGHTS AND  
11      WELFARE OF SUBJECTS.   IF THERE ARE NO SUBJECTS, THERE'S  
12      NOT GOING TO BE A SCIENTIFIC EVALUATION BY THE IRB.  
13      ETHICS:   YES AND YES.   RISK BENEFIT:   YES, BOTH  
14      COMMITTEES WILL HAVE TO DO THAT.   INFORMED CONSENT:  
15      YES.   ESCRO IS RESPONSIBLE FOR INFORMED CONSENT  
16      ACCORDING TO NAS GUIDELINES.   COMPLIANCE:   YES.  
17      EDUCATION:   YES ON BOTH SIDES.   DERIVATION OF CELLS:  
18      YES.   ACCOUNTING FOR CELLS:   YES, EXCEPT THE IRB  
19      TRADITIONALLY IS LIMITED IN THE EXTENT OF ITS ABILITY  
20      TO COUNT FOR CELLS AS IT'S NOT REQUIRED FOR NONHUMAN  
21      SUBJECTS RESEARCH, BUT THEY MUST ACCOUNT FOR ALL  
22      RESEARCH.   REVIEW OF HUMAN EMBRYONIC STEM CELL  
23      RESEARCH:   YES, BY IOM GUIDELINES, AND YES AND NO IN  
24      TERMS OF WHO'S SPONSORING IN CALIFORNIA.

25                   SO THERE'S A LOT OF OVERLAP HERE IN TERMS OF

1 DUTIES OF BOTH COMMITTEES. AND WITH THAT OVERLAP IS A  
2 TREMENDOUS EXPENSE FOR INSTITUTIONS.

3 ARE THERE POSSIBLE ALTERNATIVE APPROACHES? I  
4 CAME UP WITH FOUR OFF THE TOP OF MY HEAD IN DISCUSSION  
5 WITH OTHER IRB SPECIALISTS AND OTHER PEOPLE INVOLVED IN  
6 THIS AREA. PLAN A: ESCRO AND IRB, THIS IS EXACTLY  
7 WHAT THE NAS REQUIRES. TWO LOCAL COMMITTEES WITH  
8 OVERLAPPING DUTIES AND RESPONSIBILITIES.

9 PLAN B, A STEM CELL SCIENTIFIC REVIEW  
10 COMMITTEE AND AN IRB. THE STEM CELL SCIENTIFIC REVIEW  
11 COMMITTEE AND THE IRB WORKING TOGETHER CREATE AN ESCRO  
12 COMMITTEE. TWO LOCAL COMMITTEES WITH SEPARATE DUTIES  
13 REQUIRING COOPERATION MODELED ON THE NCI COMPREHENSIVE  
14 CANCER CENTER MODEL, REQUIREMENT TO PERFORM SCIENTIFIC  
15 EVALUATION OF CANCER CENTER AFFILIATED RESEARCH.

16 PLAN C, AN IRB THAT INCLUDES AN ESCRO  
17 COMMITTEE. IT WOULDN'T BE A SUBCOMMITTEE. THEY'D BE  
18 ONE AND THE SAME THAT ACCOMPLISHED ALL THOSE SAME  
19 GOALS. ONE LOCAL COMMITTEE REQUIRES AUGMENTING IRB  
20 MEMBERSHIP FOR A LIMITED NUMBER OF PROTOCOLS, MAY PLAY  
21 WITH QUORUM AND OTHER KINDS OF OTHER PROBLEMS THERE.

22 PLAN D, AN INTERESTING PLAN. THIS IS ONE  
23 THAT SHERRY LANSING MENTIONED AT YOUR LAST MEETING,  
24 WHICH IS A CENTRAL ESCRO COMMITTEE IMPLEMENTED BY THE  
25 STATES SIMILAR TO THE NIH RAC, RECOMBINANT DNA ADVISORY



1 COMMITTEE. WHY IS THIS AN INTERESTING IDEA, AND SHERRY  
2 ARTICULATED THIS AT THE LAST MEETING, IS THAT YOU HAVE  
3 LOCAL ESCRO'S THAT MAY COME TO DIFFERENT DECISIONS.  
4 AND SO, THEREFORE, YOU MAY HAVE A PI WHO'S ALLOWED TO  
5 DO X RESEARCH PROTOCOL AT Y INSTITUTION, BUT NOT  
6 ALLOWED TO DO IT AT Z INSTITUTION BECAUSE THEIR ESCRO  
7 COMMITTEE DISAGREES WITH IT. SO THAT MAY BE A DECENT  
8 ARGUMENT FOR A CENTRAL ESCRO COMMITTEE INSTEAD OF LOCAL  
9 COMMITTEES.

10 SO POSSIBLE INSTITUTIONAL CIRM APPROACHES,  
11 PLAN A, REMEMBER, IS THE NAS APPROACH. YOU HAVE LOTS  
12 OF OVERLAP BETWEEN THE IRB AND THE ESCRO. PLAN B, WHAT  
13 YOU HAVE IS EFFORT DIVIDED BETWEEN TWO COMMITTEES  
14 SEPARATING OUT SO THE IRB SPENDS ITS TIME ON IRB  
15 FUNCTIONS, AND THE STEM CELL SCIENTIFIC REVIEW  
16 COMMITTEE DOES ALL THE OTHER THINGS THAT ESCRO IS  
17 REQUIRED TO DO. IT DOESN'T GET INVOLVED IN ETHICS. IT  
18 LEAVES THAT TO THE IRB. IT GETS INVOLVED IN SCIENCE,  
19 AND THE IRB THEN USES THAT SCIENTIFIC REVIEW FROM THE  
20 SCIENTIFIC REVIEW COMMITTEE.

21 RISK BENEFIT ANALYSIS IS LIMITED, MOSTLY DONE  
22 ON THE IRB. INFORMED CONSENT, THE SCIENTIFIC REVIEW  
23 COMMITTEE DOES NOT GET INVOLVED IN AT ALL. RECRUITMENT  
24 THEY DON'T GET INVOLVED IN. PAYMENT THEY DON'T GET  
25 INVOLVED IN. AND ACCOUNTING OF CELL PROJECTS THEY DO.

1 DERIVATION ISSUES THEY DO BECAUSE THAT'S ACTUALLY THEIR  
2 EXPERTISE. PROVENANCE AND PROCUREMENT OF CELLS, YES.  
3 AND THEN EDUCATION, BOTH SIDES WOULD HAVE TO, YES, FOR  
4 THEIR REQUIRED ELEMENTS. SO HERE YOU HAVE A SHARED  
5 RESPONSIBILITY BETWEEN TWO COMMITTEES, OF WHICH I COULD  
6 SAY AT SOME CENTERS THAT HAVE COMPREHENSIVE CANCER  
7 CENTERS, THIS WOULD BE A LOGICAL WAY TO GO ABOUT  
8 IMPLEMENTING THE CONCEPT AND THE STANDARDS OF NAS IN  
9 TERMS OF HUMAN EMBRYONIC STEM CELL OVERSIGHT.

10 PLAN C IS A HYBRID WHERE YOU ACCOMPLISH  
11 EVERYTHING IN ONE COMMITTEE, WHICH COULD ALSO BE A  
12 POSSIBILITY.

13 AND THEN PLAN D IS THE CALIFORNIA GENERAL  
14 STATE ESCRO COMMITTEE.

15 SOME QUESTIONS THAT WE DEVELOPED ABOUT THIS  
16 ISSUE. WILL SOME INSTITUTIONS WANT TO CREATE A SINGLE  
17 UNIFIED PROTECTION SYSTEM FOR ALL HUMAN EMBRYONIC STEM  
18 CELL RESEARCH AT THE INSTITUTION THAT WILL ADDRESS BOTH  
19 CIRM-FUNDED AND NON-CIRM-FUNDED RESEARCH SIMILAR TO  
20 CURRENT HHS HUMAN RESEARCH ASSURANCES? WHY WOULD YOU  
21 WANT TO DO THAT? BECAUSE YOU MIGHT WANT TO AVOID  
22 HAVING TWO CLASSES OF HUMAN EMBRYONIC STEM CELL  
23 RESEARCH REVIEW AND OVERSIGHT AT YOUR INSTITUTION.

24 WILL A STRICT CONSTRUCTIONIST INTERPRETATION  
25 OF THE NAS GUIDELINES BY CIRM UNDULY LIMIT

1 INSTITUTIONAL FLEXIBILITY IN THE CREATION OF A UNIFIED  
2 AND SINGLE SYSTEM OF PROTECTIONS? THIS IS A REALLY  
3 IMPORTANT QUESTION, AND IT COMES TO CERTAIN IDEAS ABOUT  
4 COST AND IMPLEMENTATION. SOME INSTITUTIONS, BEFORE YOU  
5 EVER DECIDED TO ADOPT THE NAS GUIDELINES, HAVE ALREADY  
6 DEVELOPED PROGRAMS TO DEAL WITH HUMAN EMBRYONIC STEM  
7 CELL RESEARCH. AND THEN WITH THE ADVENT OF THE NAS  
8 GUIDELINES, DEVELOPED PROGRAMS THEN TO ADDRESS THAT.  
9 SO IN SOME WAYS THE CIRM IS A LITTLE BIT BEHIND THE  
10 CURVE OF WHERE THE INSTITUTIONS ALREADY ARE. AND IT  
11 COSTS A LOT OF TIME AND RESOURCES TO CREATE THESE  
12 PROGRAMS IN ORDER TO ASSUME RESPONSIBILITY AND ENSURE  
13 THE HIGHEST ETHICAL AND SCIENTIFIC STANDARDS.

14 TO CREATE INTERIM GUIDELINES THAT  
15 INSTITUTIONS THEN HAVE TO MODIFY AGAIN WHAT THEY'RE  
16 DOING AND THEN MAYBE HAVE THOSE GUIDELINES THEN  
17 MODIFIED AGAIN NINE MONTHS DOWN THE ROAD OR HOWEVER  
18 LONG THAT TAKES, IT TAKES A LOT OF EFFORT ON THE PART  
19 OF ANY INSTITUTION, AND SEVERAL OF YOU COMMENTED ABOUT  
20 THIS EARLIER, TO GET THESE KINDS OF PROGRAMS GOING, TO  
21 IMPLEMENT THEM, TO EDUCATE THE RESEARCH COMMUNITY,  
22 WHICH IS THE MOST IMPORTANT OF THESE PROGRAMS. NOTHING  
23 OF WHAT WE TALK ABOUT HERE TODAY OR ANY OTHER DAY IS  
24 WORTH ANYTHING UNLESS THE RESEARCH COMMUNITY IS  
25 APPROPRIATELY EDUCATED, TRUST IN THE PROGRAM, AND ARE

1 ABLE TO IMPLEMENT THOSE PROGRAMS.

2 SINCE CIRM IS EXEMPT FROM THE CALIFORNIA  
3 HEALTH AND SAFETY CODE REQUIREMENT FOR IRB REVIEW OF  
4 ALL HUMAN EMBRYONIC STEM CELL RESEARCH, SHOULD CIRM  
5 CREATE REGULATIONS THAT AVOID THE POSSIBILITY OF A  
6 TWO-CLASS SYSTEM OF REVIEW AND OVERSIGHT? INSTITUTIONS  
7 THAT RECEIVE BOTH CIRM AND NON-CIRM FUNDS MAY HAVE TWO  
8 DISTINCT AND COMPETING SETS OF OVERSIGHT REQUIREMENTS  
9 THAT MAY ALSO OVERLAP IN DECISION-MAKING  
10 RESPONSIBILITIES.

11 A TWO-CLASS SYSTEM COULD RESULT IN  
12 DUPLICATIVE FUNCTION, WASTED RESOURCES, AND RESULT IN  
13 DISCORDANT DETERMINATIONS ON THE SAME ISSUES,  
14 ULTIMATELY UNDERMINING THE INTEGRITY OF THE SYSTEM AND  
15 RESULTING IN A BREAKDOWN IN TRUST OF THE RESEARCH  
16 COMMUNITY, WHICH ARE ELEMENTS THAT WE DEFINITELY WANT  
17 TO AVOID.

18 IS THERE ROOM FOR FLEXIBILITY IN CRAFTING  
19 REQUIREMENTS SO THAT REVIEW STANDARDS ENABLE  
20 INSTITUTIONS TO ACHIEVE THE IMPORTANT GOALS OF ONLY  
21 CONDUCTING RESEARCH THAT MEETS THE HIGHEST ETHICAL AND  
22 SCIENTIFIC STANDARDS AND BUILDS PUBLIC CONFIDENCE AND  
23 ALLOWS INSTITUTIONS TO MEET THE INTENT OF THE NAS  
24 GUIDELINES, THE CONCEPTUAL ELEMENTS OF THOSE GUIDELINES  
25 THROUGH VARIOUS LOCAL COMMITTEE STRUCTURES THAT

1 MAXIMIZE RESOURCES, MINIMIZE DUPLICATION OF EFFORT,  
2 WHILE ALLOWING INSTITUTIONS TO CREATE THE BEST LOCAL  
3 COMMITTEE METHODOLOGY TO ACHIEVE THOSE GOALS?

4 THANK YOU. I'M HAPPY TO ENTERTAIN ANY  
5 QUESTIONS. WAS THAT 15 MINUTES? MY APOLOGIES TO THE  
6 STENOGRAPHER.

7 CO-CHAIR LO: WE HAVE ABOUT TEN MINUTES OF  
8 EITHER QUESTIONS FOR STEVE OR COMMENTS FOR THE  
9 COMMITTEE, SO I'D ENCOURAGE DISCUSSION, BUT ASK YOU TO  
10 KEEP YOUR COMMENTS BRIEF.

11 DR. EGGAN: I RESPECTFULLY SUBMIT THAT, ONE,  
12 I CAN SEE YOUR POINT, BUT ONE CAN INVERSELY CREATE OR  
13 PREVENT THERE FROM BEING A TWO-CLASS SYSTEM BY SIMPLY  
14 SAYING THAT THE NAS POSITION IS QUITE REASONABLE AND  
15 THAT EVERY INSTITUTION SHOULD HAVE AN ESCRO REGARDLESS  
16 OF CALIFORNIA STATE LAW. AND THAT PERHAPS IT'S NOT  
17 SUCH A BAD THING IF EVERY APPLICATION FOR RESEARCH IS  
18 REVIEWED BOTH BY THE IRB AND THE ESCRO.

19 I MEAN I CERTAINLY AGREE WITH, ALTA THAT IT'S  
20 A DANGEROUS SITUATION TO CALL THESE THINGS HUMAN  
21 SUBJECTS (INTERRUPTION), BUT IF THAT'S A SITUATION  
22 ESSENTIALLY, STICKING WITH THE NAS GUIDELINES ACROSS  
23 THE BOARD, WHICH I WOULD HOPE ALL INSTITUTIONS ARE  
24 GOING TO DO BECAUSE I THINK THAT WOULD HELP CREATE A  
25 NATIONAL STANDARD, IS NOT PROBLEMATIC.

1 MR. PECKMAN: I'M NOT SAYING THAT ONE  
2 SHOULDN'T CREATE AN ESCRO COMMITTEE. WHAT I'M SAYING  
3 IS TO THINK ABOUT FLEXIBILITY IN THE REQUIREMENT FOR A  
4 VERY STRICT INTERPRETATION OF THE NAS GUIDELINES AND  
5 THAT ALLOW FOR A MULTITUDE OF FRAMEWORKS TO ACCOMPLISH  
6 THE SAME GOALS.

7 MS. CHARO: VERY BRIEFLY, FIRST, I THINK THE  
8 GOAL OF FLEXIBILITY IS SHARED. HOW TO GET THERE IS THE  
9 SOURCE OF THE DISCUSSION. I THINK ANOTHER ALTERNATIVE  
10 THAT WASN'T PRESENTED IS THE ONE THAT'S ACTUALLY IN THE  
11 INTERIM GUIDELINE DRAFT WE HAVE HERE, WHICH IS THAT THE  
12 ESCRO IS NOT A FORMAL SUBCOMMITTEE OF THE IRB, BUT YOU  
13 CAN ALSO, NONETHELESS, HAVE TREMENDOUS OVERLAP OF  
14 MEMBERSHIP, AND THERE'S NOTHING THAT PRECLUDES OVERLAP  
15 OF STAFF EITHER.

16 I WOULD ALSO JUST BRIEFLY SAY TWO OTHER  
17 THINGS VERY QUICKLY. I THINK THAT ONE OF YOUR SLIDES  
18 LISTS FUNCTIONS FOR THE ESCRO THAT AREN'T ACTUALLY IN  
19 THERE. IT HAS THE ESCRO REVIEWING DERIVATION,  
20 REVIEWING OTHER THINGS THAT, IN FACT, IN THE GUIDELINES  
21 IS DEFERRED TO THE IRB. THE ESCRO SIMPLY WANTS  
22 CONFIRMATION THAT THE IRB HAS DONE THIS. IT SETS  
23 CERTAIN SUBSTANTIVE STANDARDS THAT ACTUALLY ARE  
24 TRACKING IN MANY WAYS ALREADY FEDERAL STANDARDS WITH  
25 REGARD TO INFORMED CONSENT, ETC., BUT IT DOES NOT

1       UNDERTAKE A DE NOVO REVIEW OF CONSENT DOCUMENTS, ETC.  
2       IT'S IMPORTANT TO BE CAREFUL ABOUT THE STATEMENT ABOUT  
3       WHERE THE REDUNDANCIES MIGHT BE.

4                 LAST, IT IS WORTH NOTING THAT IRB'S ARE  
5       SUBJECT TO VERY SPECIFIC REGULATORY REQUIREMENTS, NOT  
6       ONLY IN TERMS OF THEIR MEMBERSHIP, BUT ALSO IN TERMS OF  
7       THEIR RECORDKEEPING, THAT THEY HAVE CERTAIN MEETING  
8       SCHEDULES, THAT BY INCORPORATING AN ESCRO INTO AN IRB,  
9       YOU ARE MAKING THE ESCRO SUBJECT TO ALL OF THOSE  
10      FEDERAL REGULATIONS AND THE IRB'S SCHEDULE FOR REVIEW.  
11      IN SOME WAYS IT TAKES AWAY FLEXIBILITY FROM AN ESCRO IF  
12      IT HAS TO SLOT ITSELF INTO THE ADMINISTRATIVE PATTERNS  
13      OF AN IRB.

14                SO IN THINKING ABOUT FLEXIBILITY, LET'S THINK  
15      VERY GLOBALLY ABOUT ALL THE EFFECTS OF BEING THAT  
16      TIGHTLY TIED TO AN EXISTING IRB.

17                MR. PECKMAN: I WOULD SUBMIT THAT THE NAS  
18      GUIDELINES ARE UNCLEAR AS TO THE EXACT RELATIONSHIP  
19      BETWEEN AN IRB AND AN ESCRO IN THAT, AT LEAST IN OUR  
20      READING, IT APPEARED PRETTY CLEAR THAT THE ESCRO SHARED  
21      MANY OF THE RESPONSIBILITIES.

22                REGARDING AN IRB/ESCRO RELATIONSHIP, THERE  
23      ARE MANY THINGS IRB'S ARE CURRENTLY REQUIRED TO DO  
24      UNDER FEDERAL LAW THAT DON'T INVOLVE HUMAN SUBJECT  
25      RESEARCH. FOR EXAMPLE, FDA LAW REQUIRES IRB REVIEW OF

1 CERTAIN KINDS OF DEVICES THAT ARE ALREADY APPROVED BY  
2 THE FDA. AND THEY'RE NOT HUMAN SUBJECTS. FDA DOES NOT  
3 CALL THEM HUMAN SUBJECTS RESEARCH, BUT THEY REQUIRE IRB  
4 REVIEW. THREE IS THAT THERE'S NO REASON WHY IN A  
5 COMBINATION -- AND THIS IS NOT ONE I PERSONALLY  
6 ENDORSE, BUT I KNOW OTHERS DO -- THERE'S NO REASON WHY  
7 AN IRB/ESCRO HYBRID HAS TO CONVENE ITSELF AS ONE RATHER  
8 THAN TWO. SO THEN YOU COULD APPLY ESCRO STANDARDS TO A  
9 MEETING OF THE ESCRO COMMITTEE PART OF THAT MEETING AND  
10 THEN TURN IT INTO AN IRB MEETING AND HAVE TOTALLY  
11 DIFFERENT STANDARDS THAT ARE DONE THERE AS WELL.

12 I THINK THERE'S A LOT OF DIFFERENT  
13 METHODOLOGIES, AGAIN, TO ATTAIN A CERTAIN GOAL THAT  
14 DON'T NECESSARILY BIND YOU TO CERTAIN IRB LAWS WITHIN  
15 THE 45 CFR 46 OR THE 21 CFR AND TO CALIFORNIA LAW  
16 EITHER. JUST MAKE SURE IT COVERS ALL THE BASES WITH  
17 THE RESOURCES AN INSTITUTION FEELS IT CAN AFFORD TO  
18 ALLOCATE TOWARDS THIS ROLE.

19 MR. SHESTACK: COULD YOU JUST CLARIFY, ALTA,  
20 WHY IS IT THAT THE LEGISLATION EXEMPTS CIRM RESEARCH  
21 FROM IRB REVIEW?

22 MR. KLEIN: ALTA, WHY DON'T YOU ADDRESS THAT  
23 FIRST?

24 MS. CHARO: BASICALLY CALIFORNIA PASSED A LAW  
25 THAT SAID IN VERY BROAD STROKES EMBRYONIC STEM CELL



1 RESEARCH ALWAYS HAS TO BE REVIEWED BY AN IRB. NOW, I  
2 SUSPECT IF THEY REALLY THOUGHT ABOUT IT, REALLY THOUGHT  
3 ABOUT IT, THEY MIGHT NOT HAVE WRITTEN IT THAT BROADLY  
4 AND THEY MIGHT HAVE ISOLATED IRB REVIEW TO ISSUES  
5 AROUND THE ACTUAL HUMAN BEINGS WHO ARE DONATING  
6 BIOLOGICAL MATERIALS, BUT THEY DIDN'T. SO IT COVERS  
7 PURELY LAB RESEARCH. OKAY.

8 AS I UNDERSTAND IT, WHEN THE INITIATIVE WAS  
9 PASSED, IT WAS PASSED WITH LANGUAGE THAT EXEMPTED  
10 RESEARCH FUNDED THROUGH THE INITIATIVE FROM THAT  
11 PARTICULAR CALIFORNIA STATE LAW. THAT MEANS THAT  
12 INITIATIVE-FUNDED RESEARCH THAT, IN FACT, HAS AN  
13 ELEMENT THAT INVOLVES A HUMAN SUBJECT, FOR EXAMPLE, A  
14 FRESH DERIVATION REQUIRING THAT YOU COLLECT NEW  
15 MATERIALS FROM PEOPLE, WILL STILL GO TO AN IRB BECAUSE  
16 THAT'S PART OF THE GENERAL JURISDICTION OF IRB'S HAS TO  
17 DO WITH HUMAN SUBJECTS. BY WHERE THERE'S  
18 INITIATIVE-FUNDED RESEARCH THAT DOESN'T INVOLVE A HUMAN  
19 SUBJECT, FOR EXAMPLE, YOU'RE WORKING JUST WITH THE CELL  
20 LINE, THEN YOU WOULD NO LONGER HAVE TO GO RUNNING OFF  
21 TO YOUR IRB FOR APPROVAL OF YOUR LABORATORY RESEARCH.

22 THE NOTION THAT IF I WANT TO DO RESEARCH THAT  
23 INVOLVES TESTING CULTURE MEDIA FOR GROWTH OF MY CELL  
24 LINES AND I'VE GOT TO GO TO MY IRB FOR PERMISSION TO DO  
25 THAT JUST SEEMS SILLY, BUT THAT'S WHAT THE LAW NOW

1 SAYS.

2 MR. KLEIN: MORE IMPORTANTLY, BESIDES BEING  
3 MAYBE EXTRANEIOUS, THE IRB'S IN CALIFORNIA AT THE  
4 INSTITUTIONS WE'RE DEALING WITH HAVE VERY LONG  
5 SCHEDULES. VERY -- THEY HAVE EXTREMELY QUALIFIED  
6 PEOPLE WITH TREMENDOUS WORKLOADS. THEY HAVE HUGE  
7 BACKLOGS. AND YOU CAN SLOW DOWN RESEARCH SOMETIMES BY  
8 MONTHS JUST TRYING TO GET THROUGH AN IRB WHEN IT'S NOT  
9 RELEVANT TO THE DECISION BECAUSE THERE'S NOT A HUMAN  
10 SUBJECT INVOLVED. SO THE INTENT IN EXEMPTING IT FROM  
11 THAT PROVISION WAS TO KEEP IRB'S WHEN HUMAN SUBJECTS  
12 ARE NEEDED TO BE PROTECTED; BUT WHEN THEY'RE NOT NEEDED  
13 TO BE PROTECTED, TO MOVE IT THROUGH AN EXPERT BODY THAT  
14 CAN MOVE THE RESEARCH EXPEDITIOUSLY.

15 MR. SHESTACK: WHY MOVE IT THROUGH ANYBODY IF  
16 IT DOESN'T INVOLVE ACTUAL HUMAN SUBJECTS?

17 MR. KLEIN: IN TERMS OF MAKING SURE THAT  
18 THERE'S INFORMED CONSENT AND SOME OF THESE OTHER  
19 STANDARDS ARE MET.

20 MS. CHARO: THE INFORMED CONSENT WOULD BE  
21 HUMAN SUBJECTS, BOB.

22 MR. KLEIN: THAT'S TRUE. THAT'S A BAD  
23 EXAMPLE.

24 MS. CHARO: SOME OF IT DOES ALREADY GO  
25 THROUGH COMMITTEES, RIGHT? THIS IS WHY I THINK WE'VE

1 BEEN HEARING ANN REPEATEDLY TRYING TO RAISE THE  
2 QUESTION OF WHETHER THE ESCRO'S THEMSELVES ARE IN A  
3 SENSE SO REDUNDANT, THAT WE SHOULD BE RETHINKING THEM.

4 THE BASIC RESEARCH THAT DOESN'T INVOLVE HUMAN  
5 SUBJECTS MAY INVOLVE ANIMALS. THERE ARE ANIMAL CARE  
6 COMMITTEES. IT MAY INVOLVE GENETIC ENGINEERING. THERE  
7 ARE INSTITUTIONAL COMMITTEES THAT DEAL WITH RECOMBINANT  
8 DNA STUFF.

9 MR. SHESTACK: IN ADDITION, AS AN EXTRA LAYER  
10 ON TOP OF AN IRB.

11 MS. CHARO: EXACTLY. THE ESCRO'S WERE  
12 RECOMMENDED BY THE ACADEMIES, AND IT'S UP FOR GRABS  
13 WHETHER PEOPLE WANT TO ADOPT IT. THEY WERE RECOMMENDED  
14 BECAUSE THEY WOULD BE THE ONE PLACE WHERE, A, THERE'S A  
15 BODY THAT'S CHECKING OFF THAT EVERY ONE OF THESE AREAS  
16 OF RESEARCH IS, IN FACT, GOING TO ALL THE COMMITTEES  
17 IT'S SUPPOSED TO. IN THAT SENSE IT REPLICATES WHAT THE  
18 PROVOSTS'S OFFICE OR THE DEAN OF THE GRADUATE SCHOOL  
19 WOULD BE DOING AT AN INSTITUTION.

20 SECOND, IT SITS THERE TO ADD A LEVEL OF  
21 EXPERTISE THAT ISN'T CURRENTLY AVAILABLE. ANIMAL CARE  
22 COMMITTEES MAY GROW IN THIS AREA, BUT RIGHT NOW ARE NOT  
23 MADE UP OF PEOPLE PRIMARILY WHO ARE FAMILIAR WITH WHAT  
24 MIGHT BE GOING ON WITH STEM CELL RESEARCH. THE ESCRO'S  
25 COULD RAPIDLY DEVELOP THAT EXPERTISE BECAUSE THEY'RE

1 FOCUSING SPECIFICALLY ON THIS.

2 AND THIRD, POLITICAL; THAT IS, IT PROVIDES A  
3 VENUE IN WHICH THESE ISSUES ARE BEING DISCUSSED. IF  
4 THERE'S SOMETHING THAT'S FALLING THROUGH THE CRACKS OF  
5 THESE ALL COMMITTEES AND THE PUBLIC CAN HAVE SOME  
6 DEGREE OF CONFIDENCE THAT THERE IS A WAY TO FIND THINGS  
7 THAT ARE FALLING THROUGH THE CRACKS, BUT IT IS A  
8 DEBATABLE PROPOSITION WHETHER THAT'S A STRONG ENOUGH  
9 JUSTIFICATION FOR THIS EXTRA LEVEL.

10 DR. ROWLEY: THIS IS JANET ROWLEY, AND I'D  
11 LIKE TO WEIGH IN ON THIS BECAUSE I TOO WAS ON THE NAS  
12 WORKING COMMITTEE. I THINK THAT ONE OF THE IMPORTANT  
13 ISSUES IS THE ESCRO AS COMPRISED BY OTHER SCIENTISTS  
14 HAD APPROPRIATE SCIENTIFIC JUSTIFICATION FOR DEVELOPING  
15 A BRAND NEW CELL LINE THAT SOMEBODY ON THE ESCRO MIGHT  
16 KNOW IS DUPLICATING MORE OR LESS EXACTLY A CELL LINE  
17 THAT'S ALREADY AVAILABLE. WHY SHOULD THIS PERSON  
18 REINVENT THE WHEEL? AND IT ALSO WAS GOING TO BE VERY  
19 IMPORTANT IN JUDGING THE SCIENTIFIC MERITS OF WORK THAT  
20 WOULD PARTICULARLY INVOLVE ANIMALS AND CHIMERAS THAT A  
21 STANDARD IRB WOULD NOT BE CAPABLE OF DOING. AND THIS  
22 WAS -- THESE WERE IMPORTANT ISSUES THAT THE ESCRO'S  
23 SHOULD BE RESPONSIBLE FOR.

24 CO-CHAIR LO: IN THE INTEREST OF TIME, I WANT  
25 TO TRY AND MAKE SURE WE ALSO HAVE A CHANCE TO HEAR FROM

1 THE BANKING STUDY GROUP ON THAT OTHER SET OF ISSUES WE  
2 WANTED TO HEAR MORE ABOUT. SO I'M GOING TO SORT OF,  
3 WITH THE APPROVAL OF THE WORKING GROUP, CUT SHORT THIS  
4 DISCUSSION AND ASK FRANCISCO TO PRESENT THE THINKING OF  
5 THE BANKING STUDY GROUP TO HELP US THINK THROUGH WHAT  
6 WE NEED TO UNDERSTAND IN ORDER TO MAKE A JUDGMENT ABOUT  
7 THE INTERIM GUIDELINES ON THAT TOPIC.

8 DR. PRIETO: THE BANKING STUDY GROUP MET LAST  
9 WEEK BY TELECONFERENCE, AND WE WORKED OFF SEVERAL  
10 ISSUES THAT WERE BROUGHT UP BY STAFF, QUESTIONS THAT WE  
11 THOUGHT WE SHOULD TRY TO ANSWER. THE FIRST ONE BEING  
12 WHETHER THE CIRM SHOULD MAINTAIN A REGISTRY OF STEM  
13 CELL LINES. AND WE FELT THAT, YES, THIS SHOULD BE A  
14 FUNCTION OF THE CIRM, THAT ESSENTIALLY WE'RE MIMICKING  
15 THE NIH IN THIS SENSE THAT THERE HAS TO BE A PLACE  
16 WHERE SOME CENTRAL REPOSITORY OF INFORMATION EXISTS.  
17 WE ARE GOING TO BE THE LARGEST FUNDER OF STEM CELL  
18 RESEARCH, AND IT'S IMPORTANT THAT THERE BE A PLACE  
19 WHERE THIS INFORMATION IS KEPT.

20 SEPARATE FROM THAT, WE ADDRESSED THE ISSUE OF  
21 BANKING. BANKING WE FELT IS VERY IMPORTANT FOR  
22 PURPOSES OF SHARING INFORMATION AND MOVING THESE LINES  
23 OUT TO THE MAXIMUM NUMBER OF RESEARCHERS POSSIBLE,  
24 GETTING THE MOST BANG FOR OUR BUCK, SO TO SPEAK,  
25 ENSURING THAT WE HAVE RESULTS WITHIN A REASONABLE TIME

1 FRAME. WE DID NOT FEEL THAT THE CIRM NECESSARILY NEEDS  
2 TO BE THE PHYSICAL BANKER, BUT THAT THIS COULD BE  
3 SOMETHING THAT WOULD BE CONTRACTED OUT, THAT WE WOULD,  
4 YOU KNOW, CONTRACT MORE BY GRANT. THERE WAS SOME  
5 DISCUSSION ABOUT THAT ISSUE, WHICH WE MAY NOT WANT TO  
6 GET INTO TODAY. BUT THAT SPECIFICALLY FOR RESEARCHERS  
7 DOING CIRM-FUNDED RESEARCH, THAT THERE SHOULD BE A TIME  
8 LINE FROM THE TIME THAT THEY DERIVE CELL LINES WITH  
9 CIRM MONEY TO THE TIME THAT THEY SHARE THOSE LINES WITH  
10 THE BANK. WE HAD SOME DISCUSSION ABOUT WHAT THAT TIME  
11 SHOULD BE, AND CERTAINLY WE CAN TALK ABOUT THAT TODAY.

12 AND WE ALSO TALKED A LITTLE BIT ABOUT THERE'S  
13 A QUESTION ABOUT WHETHER EACH FACILITY SHOULD CREATE A  
14 SEPARATE COMMITTEE FOR POLICY AND OVERSIGHT PURPOSES.  
15 WE THOUGHT THIS SHOULD BE A ROLE OF THE ESCRO. SHOULD  
16 THERE BE SEPARATE COMMITTEES? WE THOUGHT THAT THAT  
17 SHOULD BE COMBINED, THAT THERE WAS NOT A NEED AT EACH  
18 INSTITUTION FOR A SEPARATE BANKING COMMITTEE IF WE HAD  
19 CREATED THIS CENTRAL STRUCTURE.

20 AND THEN OUR LAST POINT WAS REGARDING THE  
21 TRACKING OF IDENTIFIABLE CELLS OR CELL LINES. AND  
22 THIS, WE FELT, SHOULD BE THE RESPONSIBILITY OF THE  
23 INSTITUTIONS, THAT THOSE THAT ORIGINALLY DERIVED THE  
24 SOURCE SHOULD BE RESPONSIBLE FOR MAINTAINING THE  
25 PERSONALLY IDENTIFIABLE INFORMATION IN ACCORDANCE WITH

1 HIPAA STANDARDS AND WITH APPROPRIATE IRB REVIEW, THAT  
2 THE CELL LINES SHOULD BE CODED SO THAT DONORS COULD BE  
3 CONTACTED, IF THAT WERE NECESSARY, THROUGH THE  
4 INSTITUTION, AND THAT THE ESCRO WOULD OVERSEE THIS, AND  
5 THE INSTITUTION WOULD BE RESPONSIBLE FOR MAINTAINING  
6 THAT INFORMATION.

7 MR. SHESTACK: BASICALLY THAT THERE WOULD BE  
8 A STANDARDIZED SET OF GUIDELINES WE WOULD RECOMMEND FOR  
9 INSTITUTIONS DOING CIRM-FUNDED RESEARCH THAT WOULD  
10 BASICALLY ENABLE BANKING TO BE DONE EASILY AT A LATER  
11 DATE, THAT THERE WOULD BE CERTAIN COMMON PLATFORMS OF  
12 ASCERTAINMENT OF DATA MANAGEMENT THAT WOULD ALLOW FOR  
13 EASE OF BANKING WHICH WOULD ULTIMATELY, I ASSUME, BE  
14 MANDATED BY THE ICOC, THAT IT WOULD BECOME POLICY, THAT  
15 IF YOU CREATED CELL LINES WITH CIRM MONEY, THE  
16 EXPECTATION WAS THERE WOULD BE A REASONABLE HOLD-BACK  
17 PERIOD, IF IT WAS 6 MONTHS OR 12 MONTHS, KEYED TO  
18 PUBLICATION OR PROBABLY NOT, YOU KNEW THAT YOU WOULD --  
19 THAT YOU WOULD HAVE TO DEPOSIT THEM INTO A CENTRAL  
20 REPOSITORY THAT WOULD THEN MAKE THEM AVAILABLE WITHIN  
21 AND WITHOUT CALIFORNIA.

22 IT WAS OUR RECOMMENDATION THAT THIS BE  
23 ULTIMATELY ICOC POLICY.

24 DR. CIBELLI: ONE MORE THING, SO THE PURPOSE  
25 OF THIS IS THAT RESEARCH CAN MOVE FORWARD AS FAST AS

1 POSSIBLE. LET'S SAY WE CREATED A CELL LINE IN THE LAB.  
2 IT DOESN'T HAVE TO BE FROM A BLASTOCYST. IT COULD BE  
3 AN NIH CELL LINE THAT ALL OF A SUDDEN WE INTRODUCE A  
4 GENE THAT IS A KEY FOR AUTISM, AND THEN THAT'S A NEW  
5 CELL LINE. AND SO WITHIN SIX MONTHS, IF YOU DID IT  
6 WITH INSTITUTE MONEY, YOU HAVE TO PUT IT INTO X BANK.

7 NOW, SO THAT'S WHAT WE ACTUALLY CAN ENFORCE  
8 THE DIFFERENT INSTITUTIONS OR GROUPS TO DO. AND THEN  
9 HOW THE BANK ITSELF IS GOING TO WORK, THAT'S SOMETHING  
10 THAT WE'RE GOING TO HAVE TO DEVELOP WHEN WE DEVELOP AN  
11 RFA OR WE JUST DO THE CONTRACT WORK. AND IF YOU READ  
12 CAREFULLY WHAT THE NATIONAL ACADEMY OF SCIENCES TRIED  
13 TO PUT IN THIS DOCUMENT, THAT'S EXACTLY THE RFA THAT  
14 YOU SHOULD WRITE.

15 DR. HALL: LET ME JUST SAY ALSO THAT I THINK  
16 ONE OF THE EFFORTS IS GOING TO BE TO HAVE THE VARIOUS  
17 BANKS THAT ARE BEING CREATED AROUND THE WORLD BE AS  
18 COMPARABLE AS POSSIBLE AND AS TRANSPARENT AS POSSIBLE  
19 SO THAT YOU HAVE THE SAME INFORMATION ABOUT ALL THE  
20 LINES AND THAT YOU CAN COMPARE THEM USEFULLY. AND  
21 THERE ARE EFFORTS UNDERWAY TO TRY TO ACHIEVE THAT. WE  
22 ARE VERY INTERESTED IN AND ARE IN CONTACT WITH THOSE.

23 DR. CIBELLI: I THINK IT'S FAIR TO SAY,  
24 FRANCISCO, THAT WE DIDN'T ACTUALLY DIG INTO THE ISSUE  
25 OF DATABASES, WHO IS GOING TO HANDLE THE DATABASE. I



1 THINK WE SUGGESTED THAT THE INSTITUTION SHOULD BE  
2 HANDLING THE DATABASE, BUT THAT IS STILL UP IN THE AIR.

3 MR. SHESTACK: THERE WAS ALSO SOME DISCUSSION  
4 OF WHETHER OR NOT -- THERE ARE PEOPLE WHO ARE ALREADY  
5 DOING HIGH QUALITY STEM CELL BANKING, NOT IN  
6 CALIFORNIA, I BELIEVE, RIGHT, AND ARE WE ALLOWED, FOR  
7 INSTANCE, TO CONTRACT WITH THEM EVEN THOUGH THEY'RE  
8 OUTSIDE THE STATE OF CALIFORNIA? ARE THEY ALLOWED TO  
9 OPEN AN AFFILIATE IN CALIFORNIA? ARE WE ALLOWED TO  
10 CAPITALIZE ON, IN THE INTEREST OF SAVING TIME AND  
11 MONEY, PEOPLE WHO ARE DOING THIS OUTSIDE OF CALIFORNIA  
12 FOR THE BENEFIT OF ALL OF US, OR MUST WE RECREATE THE  
13 WHEEL IN CALIFORNIA?

14 DR. PRIETO: THIS IS A QUESTION THAT CAME UP  
15 IN OUR CONFERENCE, AND I DON'T KNOW UNDER THE  
16 INITIATIVE WHETHER THAT'S SOMETHING THAT WOULD BE --

17 MR. SHESTACK: SERVE THE HIGHER GOAL. WE  
18 DON'T KNOW THE ANSWER TO THAT.

19 DR. HALL: WE EVEN KNOW OF SOME OUTSIDE  
20 ENTITIES WHO ARE INTERESTED IN CREATING A STEM CELL  
21 BANK IN CALIFORNIA.

22 MR. SHESTACK: YEAH. PEOPLE WHO ALREADY DO  
23 IT.

24 DR. PRIETO: WOULD IT HAVE TO BE PHYSICALLY  
25 LOCATED IN CALIFORNIA?

1 DR. HALL: OURS OR THEIRS?  
2 DR. PRIETO: OURS OR THE --  
3 DR. HALL: IT SHOULD BE. OH, YES. IT SHOULD  
4 BE LOCATED IN CALIFORNIA, I THINK.  
5 DR. PRIETO: ONE OF THE THOUGHTS THAT WE HAD  
6 WAS THAT AN OUTSIDE INSTITUTION ALREADY DOING BANKING  
7 AND WITH BANKING EXPERTISE MIGHT CHOOSE TO ESTABLISH A  
8 BRANCH IN CALIFORNIA.  
9 MR. KLEIN: IS THIS INTERSTATE BANKING? LET  
10 ME ASK, FRANCISCO. IF IN TERMS OF DR. WILLERSON'S  
11 PRIOR MOTION, IF WE RECOGNIZE THE REGISTRY AND IF WE  
12 STATE THAT THIS SECTION IS UNDER DEVELOPMENT, AND, IN  
13 FACT, IN TERMS OF JON'S POSITION, IT'S UNDER  
14 DEVELOPMENT AND CIRM HAS A STRONG INTEREST IN ACHIEVING  
15 THE BANKING OBJECTIVES AND ENCOURAGING THE BANKING --  
16 STEM CELL BANKING OBJECTIVES, SO WE'RE CLEARLY SENDING  
17 A MESSAGE THAT THIS IS GOING TO BE IMPORTANT TO US IN  
18 THE FUTURE, DOES THAT ACCOMPLISH YOUR INTENT TO, A, PUT  
19 A MARKER DOWN, BUT ALLOW US THE TIME TO DEVELOP THOSE  
20 ITEMS; OR DO YOU BELIEVE WE NEED TO TRY AND INCORPORATE  
21 SOME OF THESE BANKING DIRECTIVES NOW?  
22 DR. PRIETO: GOOD QUESTION. JON?  
23 MR. SHESTACK: MY POINT OF VIEW ON THIS IS  
24 THAT WE HAVE TO MAKE -- I THINK WE NEED TO MAKE A  
25 STRONG SIGNAL TO THE PUBLIC AND TO THE ICOC THAT

1 BANKING IS AN ETHICAL ISSUE. THAT IF WE ARE WORKING  
2 WITH HUMAN SUBJECTS, PEOPLE ARE DONATING WITH SOME  
3 DISCOMFORT THEIR BIOMATERIALS, THAT CERTAINLY SOME OF  
4 THEIR TAX DOLLARS, THAT IT IS AN ETHICAL ISSUE THAT WE  
5 LEVERAGE THEIR CONTRIBUTION AS FAR AS IS HUMANLY  
6 POSSIBLE EVEN TO THE POINT OF IT BEING SOMEWHAT PAINFUL  
7 SOMETIMES, THAT YOU MUST GO TO EXTREME LENGTHS TO DO  
8 IT, THAT IT WILL PAY OFF FOR THE GENERAL POPULATION  
9 SOON AFTER. I DON'T KNOW HOW YOU DO IT. I KNOW THAT  
10 STRIKING IT FROM THE GUIDELINES DOESN'T SEEM TO DO IT.

11 AND BUT ON THE OTHER HAND, THESE GUIDELINES  
12 ARE AWKWARD. THEY DO -- IF YOU READ THEM CAREFULLY,  
13 THEY MAKE IT SOUND LIKE EVERY GRANTEE INSTITUTION HAS  
14 TO DO IT, WHICH IS EXACTLY NOT HOW YOU WOULD WANT TO DO  
15 IT. SO I DON'T KNOW WHAT THE IN BETWEEN IS.

16 DR. HALL: THERE WAS WORDING SUGGESTED, JON,  
17 AND I CAN'T REMEMBER, I THINK THIS WAS FRANCISCO'S  
18 ORIGINAL WORDING, THAT INSTITUTIONS ENGAGED IN HES --  
19 WELL, HE CHANGED IT FROM RESEARCH TO DERIVATION OF  
20 LINES SHALL CREATE OR PARTICIPATE IN CENTRAL  
21 REPOSITORIES FOR HES CELL LINES. I THINK THAT IS  
22 REASONABLE, AND I THINK THAT CAPTURES, IF NOT THE FULL  
23 SPIRIT OF WHAT YOU ARE TRYING TO SAY, AT LEAST POINTS  
24 IN THE RIGHT DIRECTION. THAT IS, THAT WE WANT THESE IN  
25 CENTRAL REPOSITORIES WHERE THEY'LL BE AVAILABLE WITHOUT

1 SPECIFYING ALL THE RULES OF HOW LONG.

2 MR. SHESTACK: I GUESS THE POINT IS JUST TO  
3 TRY AND MAKE IT CLEAR UP FRONT THAT IT'S NOT GOING TO  
4 BE UP TO THE GRANTING INSTITUTION HOW LONG OUR  
5 HOLD-BACK IS. THAT WILL BE UP TO THE FUNDING  
6 INSTITUTION, WHICH IS CIRM.

7 DR. HALL: COMMIT ONE WAY OR THE OTHER.

8 DR. PRIETO: DR. CIBELLI MADE THE POINT THAT  
9 REALLY 9(B) ON PAGES 7 AND 8 COULD BE REWORDED WITHOUT  
10 TOO MUCH CHANGING IN WORDING INTO AN RFA THAT THE CIRM  
11 COULD GENERATE FOR A GRANT FOR BANKING.

12 MR. KLEIN: THAT WOULDN'T BELONG IN THE  
13 GUIDELINES, BUT WE COULD STATE UNDER (B) THAT THERE'S A  
14 STRONG ETHICAL OBJECTIVE, JON, A STRONG ETHICAL  
15 OBJECTIVE OF CIRM TO ENCOURAGE THE OBJECTIVES -- STRONG  
16 OBJECTIVE TO ENCOURAGE BANKING.

17 DR. CIBELLI: I'D CHANGE THE LANGUAGE. I  
18 THINK THE PURPOSE IS TO SHARE THE REAGENTS. AND TO  
19 ACCOMPLISH THAT, YOU WILL HAVE A BANK THAT'S GOING  
20 SUBSIDIZED, AND YOU DON'T HAVE TO WORRY ABOUT IT. YOU  
21 DEVELOP A CELL LINE, YOU GET YOUR PUBLICATION, AND YOU  
22 MOVE ON IN YOUR RESEARCH. THIS CELL LINE IS PUT IN THE  
23 BANK, AND EVERYBODY HAVE WILL HAVE ACCESS TO IT X  
24 AMOUNT OF TIME AFTER YOUR PUBLICATION, AND IT'S  
25 AVAILABLE FOR EVERYBODY. SO THE MAIN PURPOSE HERE IS

1 TO MAKE THIS REALLY DISSEMINATE THIS, GET AS MUCH AS  
2 POSSIBLE FROM WHATEVER RESEARCH YOU GET. THE MAIN  
3 THING IS NOT BANKING FOR THE BANKING SAKE. IT'S JUST  
4 TO HAVE A PLACE WHERE YOU STANDARDIZE THE CELLS, YOU  
5 GROW THEM UNDER SPECIFIC CONDITIONS, THEY'RE ALL THE  
6 SAME, AND THEN YOU SHARE. SO THAT'S THE ETHICAL, I  
7 THINK, POINT HERE.

8 MR. SHESTACK: THE SPECIFICS ARE ACTUALLY  
9 PART OF YOUR GRANTING GUIDELINES. TO ACCEPT MONEY, YOU  
10 HAVE TO PREPARE YOUR CELL LINES.

11 MR. KLEIN: AND THAT APPROACH, KEVIN, MAKES  
12 IT CLEAR THAT ALL THESE DIFFERENT INSTITUTIONS ARE NOT  
13 BEING REQUIRED TO PARTICIPATE IN BANKING -- IN SETTING  
14 UP A BANK; IS THAT RIGHT, KEVIN?

15 DR. EGGAN: SO WHAT I WAS GOING TO SAY WHY  
16 NOT CHANGE (B) TO SAY THAT A REQUIREMENT FOR CIRM  
17 FUNDING WILL BE THAT YOU MAINTAIN AND SHARE ALL THE  
18 LINES THAT YOU DERIVE? AND THEN LEAVE IT OPEN FOR  
19 LATER INTERPRETATION AS TO WHAT THAT MEANS. THERE WILL  
20 BE A REQUIREMENT.

21 MR. SHESTACK: SHARE. I DON'T THINK THE  
22 INDIVIDUAL RESEARCH INSTITUTION HAS TO MAINTAIN IT.  
23 THAT COST SHOULD BE BORNE BY CIRM.

24 DR. CIBELLI: THERE'S A VERY IMPORTANT POINT  
25 HERE. THERE IS THIS RULE, I DON'T KNOW IF IT'S WRITTEN

1       RULE, THAT WHEN YOU PUBLISH SOMETHING, EITHER YOU  
2       DEVELOP A NEW CELL LINE OR A NEW VECTOR, YOU'RE  
3       SUPPOSED TO SHARE WITH THE SCIENTIFIC COMMUNITY IF YOU  
4       DID IT WITH NIH MONEY. THAT NOT ALWAYS HAPPENS. THERE  
5       ARE MANY REASONS WHY RESEARCHERS, THEY DIDN'T GET THE  
6       E-MAIL. OH, I WAS TRAVELING. I DIDN'T HAVE TIME TO  
7       GET BACK TO YOU. SOMEHOW YOU HAVE TO MAKE SURE THAT IF  
8       YOU'RE GOING TO SPEND THE MONEY TO FUND THE RESEARCH,  
9       THAT THE PRODUCT OF THAT IS AVAILABLE FOR OTHER PEOPLE  
10      TO USE. THIS IS A TIME WHEN WE CAN CHANGE THAT. AM I  
11      CORRECT, NIH?

12                DR. KIESSLING: AND YOU'RE ABSOLUTELY  
13      CORRECT. IT DOESN'T ALWAYS HAPPEN. AND I THINK -- SO,  
14      JOSE, WHAT YOU'RE SAYING IS THAT YOU'D LIKE TO KEEP  
15      9(A), AND YOU'D LIKE 9(B) JUST BE SOME KIND OF GUIDANCE  
16      TO THE INVESTIGATOR THAT THEY'RE OBLIGATED TO SHARE  
17      THEIR REAGENTS.

18                DR. CIBELLI: TO SHARE, AND IF THEY CHOOSE  
19      SO, THERE'S A BANK AVAILABLE FOR THEM TO MAKE THIS  
20      EASIER.

21                DR. HALL: LET ME JUST SAY I THINK THIS IS A  
22      TREMENDOUSLY IMPORTANT DISCUSSION, AND I THINK THE  
23      REQUIREMENT AND THE WAY IN WHICH WE PHRASE THIS AND HOW  
24      WE DO IT IS GOING TO BE VERY CRITICAL, VERY, VERY  
25      IMPORTANT. I THINK THE POINT WE MAKE IS THAT IT'S

1       IMPORTANT TO SHARE; THAT IN ORDER TO MAKE SURE THAT  
2       THAT SHARING HAPPENS OR TO FACILITATE IT, THAT WE THINK  
3       THERE SHOULD BE A BANK CREATED. IN FACT, WHAT WE'RE  
4       TALKING ABOUT IS ALMOST SOMETHING SEPARATE AS TO SAY  
5       YOU DON'T HAVE TO HAVE THE BANK, THAT IT WILL BE DONE  
6       FOR YOU.

7                   BUT I THINK, AGAIN, THESE ARE -- WE HAVE A  
8       SCIENTIFIC MEETING COMING UP IN A MONTH, OCTOBER 1ST  
9       AND 2D, THAT IS CHARGED WITH SETTING A SCIENTIFIC  
10      AGENDA AND PRIORITIES FOR CIRM. WE EXPECT MANY OF  
11      THESE RECOMMENDATIONS REGARDING BANKING TO COME OUT OF  
12      THAT. AND OUR EXPECTATION IS THAT SUBSEQUENT TO THAT  
13      MEETING, WE WILL BEGIN PLANNING SOME SORT OF RESOURCES  
14      FOR MAKING, MAINTAINING CELL LINES THAT WOULD SORT OF  
15      BE BASED ON THOSE DISCUSSIONS.

16                   AGAIN, WHAT I SUGGEST -- I THINK ALL THIS IS  
17      GOING TO BE HAPPENING VERY QUICKLY. I THINK IT'S GOING  
18      TO BE HARD TO PUT ANYTHING VERY DETAILED OR VERY --

19                   MR. KLEIN: I THINK WHAT THEY'RE LOOKING  
20      FOR --

21                   DR. HALL: I THINK EITHER WE JUST LEAVE IT OR  
22      ELSE WE PUT IN SOMETHING THAT IS VERY SHORT AND VERY  
23      CONCISE, AND THEN LET IT GO AT THAT.

24                   MR. KLEIN: IN TERMS OF VERY SHORT, IF WE PUT  
25      SOMETHING IN AS SUGGESTED HERE, THAT UNDER (B) THAT THE

1 LINES SHALL BE SHARED, AND STILL AN ETHICAL OBJECTIVE  
2 IS TO DEVELOP GUIDELINES TO ENCOURAGE BANKING.

3 MR. SHESTACK: THEN EVERYTHING ELSE BECOMES A  
4 SUGGESTION ON HOW TO DO IT, WHICH IS OKAY BECAUSE YOU  
5 WILL HAVE TIME.

6 DR. HALL: WHAT WE HAVE -- IF WE CHANGE THIS,  
7 I THINK, ALMOST A LITTLE BIT, I'M PERFECTLY HAPPY  
8 JUST -- A FIRST SENTENCE THERE THAT SAYS THAT THE  
9 SHARING OF CELL LINES, SOMETHING DEVELOPED WITH CIRM  
10 FUNDING. I WOULD CHANGE IT FROM THE SECOND PERSON, BUT  
11 SIMPLY TO SAY THAT CIRM -- CELL LINES DERIVED WITH CIRM  
12 FUNDS, WE'RE EAGER THAT THESE CELL LINES, SOMETHING  
13 LIKE THAT, BE SHARED AS WIDELY AS POSSIBLE AND BE  
14 AVAILABLE AS QUICKLY AS POSSIBLE. AND WE ENCOURAGE OR  
15 WE REQUIRE --

16 DR. PRIETO: I WOULD PREFER TO KEEP  
17 LANGUAGE --

18 DR. HALL: WE WILL REQUIRE INVESTIGATORS TO  
19 SUBMIT LINES TO AN APPROPRIATE BANK OR REPOSITORY.

20 MR. SHESTACK: AND APPROPRIATELY PREPARED.

21 DR. PRIETO: IN A TIMELY MANNER.

22 DR. HALL: SOMETHING LIKE THAT. AND THEN I  
23 THINK THE POINT IS WE CAN FIGURE OUT WHAT THAT  
24 REPOSITORY IS LATER. MY SENSE IS THAT -- OKAY.

25 MR. SHESTACK: MY PRACTICAL CONSIDERATION,



1 AND IT ALL COMES, AND YOU HAVE TO TELL ME THAT IT  
2 DOESN'T APPLY AT ALL, COMES FROM EXPERIENCE IN GENETIC  
3 BANKING WHERE YOU HAVE A DISEASE WITH, LIKE, VERY  
4 TEDI OUS ASCERTAINMENT ISSUES AND NO BIOMARKERS WAS THAT  
5 I WOULD HAVE MANY INVESTIGATORS USING DIFFERENT  
6 METHODS, DIFFERENT DATA PLATFORMS, USE THAT AS AN  
7 EXCUSE FOR NOT SHARING DATA, AND THEN A TOTAL INABILITY  
8 TO GET A SIGNIFICANT DATA SET OF SIGNIFICANT SIZE. IT  
9 MAY BE NOT A PROBLEM AT ALL IN STEM CELL RESEARCH. I  
10 KNOW IT'S A PARTICULAR PROBLEM THAT I RAN THROUGH, AND  
11 I'M EAGER TO MAKE SURE THAT PEOPLE DON'T HAVE THAT SAME  
12 MISTAKE AGAIN.

13 DR. HALL: SHARING LINES IS A PROBLEM, AND  
14 STEM CELL RESEARCH ALREADY HAS A RICH HISTORY AND THE  
15 DIFFICULTIES OF MAKING LINES AVAIL ABLE. I DON'T THINK  
16 THERE'S ANY DOUBT ABOUT THAT, THAT'S IT BEEN VERY  
17 DIFFICULT TO HAVE AVAIL ABLE LINES BE WIDELY DI SPERSED.  
18 ANYTHING WE CAN TO DO ADDRESS THAT.

19 MR. SHESTACK: MY CONCERN IS THAT CIRM  
20 SUBSIDIZE TO SOME EXTENT WHATEVER THE INFRASTRUCTURE  
21 IS, IF THAT'S DATA MANAGEMENT PLATFORMS, IF IT'S THE  
22 SAME SET OF REAGENTS, IF IT'S A GNP FACILITY, WHATEVER  
23 IT IS, IT ACTUALLY SUBSIDIZES THAT TO MAKE SHARING DOWN  
24 THE LINE BE EASIER.

25 DR. HALL: ABSOLUTELY, BUT THAT'S NOT AN ITEM

1 FOR THE GUIDELINES.

2 MR. SHESTACK: IT'S NOT AN ITEM FOR THE  
3 GUIDELINES. IT IS AN ETHICAL GOAL OF CIRM AND,  
4 THEREFORE, I THINK COMES UNDER A STANDARDS RUBRIC. I'M  
5 SORRY TO BE TEDIOUS ABOUT IT. IT'S JUST IF WE DON'T  
6 GET IT RIGHT FROM THE BEGINNING AND MAKE IT A PRIORITY,  
7 IT WON'T BE A PRIORITY. IT WILL BE VULCANIZED AND IT  
8 WILL BE DRAG. JUST KNOW IT.

9 DR. HALL: WE DON'T HAVE THAT YET. THROUGH A  
10 WHAT? A RECOGNIZED -- IT SHOULD BE A WELL ESTABLISHED,  
11 A WHAT?

12 MR. SHESTACK: I CAN'T SEE WHERE THE CURSOR  
13 IS.

14 DR. HALL: SHARED THROUGH A STEM CELL BANK.

15 MR. SHESTACK: THROUGH A CENTRAL REPOSITORY.

16 DR. EGGAN: I THINK THE REASON WHY THEY  
17 COULDN'T ENGAGE IN THE UK STEM CELL BANK, FOR EXAMPLE.  
18 THROUGH A WELL-RECOGNIZED STEM CELL BANK OR --

19 DR. KORDOWER: YOU WANT TO ESTABLISH A LIST  
20 OF CIRM APPROVED?

21 DR. HALL: NOT FOR NOW. A WELL-RECOGNIZED  
22 STEM CELL BANK.

23 MR. SHESTACK: WE MAY ULTIMATELY DECIDE TO  
24 FUND ONE, AND I HOPE WE DO.

25 DR. HALL: THAT WILL MAKE THE LINES WIDELY

1 AVAILABLE TO INVESTIGATORS.

2 DR. EGGAN: THAT'S A GOOD CAVEAT TO ADD.

3 DR. PRIETO: GOOD LANGUAGE THERE.

4 DR. HALL: I THINK THE TIMELY MANNER IS FINE.

5 WE CAN WORRY ABOUT THAT LATER. I THINK THIS IS GOOD.

6 DR. EGGAN: SO IS ALL THE REST OF THIS

7 SECTION --

8 MR. SHESTACK: THEN ALL THE REST OF IT IS

9 COMMENTARY. YOU DON'T NEED TO CUT IT.

10 DR. HALL: IT'S HARMLESS.

11 MR. SHESTACK: I THINK IT'S HARMLESS, AND IT

12 SERVES AS GUIDELINES FOR FURTHER DISCUSSION WHEN YOU

13 GET INTO THE MORE PERMANENT STANDARDS.

14 DR. HALL: AND WE KNOW WHERE TO GO WHEN WE DO

15 OUR RFA'S.

16 DR. CIBELLI: ON THAT COMMENT, I THINK IT'S

17 CONFUSING IF WE LEAVE IT IN. THEN YOU HAVE TO GET RID

18 OF IT. THEN YOU PUT AN RFA DESCRIBING HOW YOU WANT TO

19 MAKE THE BANK. THAT'S NOT THE POINT.

20 WHAT I WANT TO SAY PERHAPS TO YOU THAT YOU

21 ARE VERY FAMILIAR WITH NIH. WHAT'S THE REASON WHY NIH

22 SOMETIMES, WELL, ALMOST ALL THE TIME, FAILS TO ENFORCE

23 THIS SHARING OF MATERIALS? IS THERE ANYTHING WE CAN

24 LEARN?

25 DR. HALL: I DEFER TO MY COLLEAGUE, DR.

1 KENNETH OLDEN, WHO'S HAD MUCH MORE EXPERIENCE IN THESE  
2 MATTERS THAN I HAVE.

3 DR. OLDEN: I DON'T KNOW WHAT TEETH IS IN THE  
4 GUIDELINES ANYWAY. I GUESS WE COULD DENY SUBSEQUENT  
5 RESEARCH FUNDING.

6 DR. CIBELLI: RENEWALS.

7 DR. OLDEN: RENEWALS. BUT I THINK WE -- THE  
8 OUTCRY FROM THE SCIENTIFIC COMMUNITY WOULD BE SO LOUD,  
9 THAT NIH HASN'T CHOSEN TO DO IT THAT WAY, BUT WE COULD.  
10 I DON'T KNOW WHY NOT.

11 DR. HALL: I THINK A BANK ACTUALLY IN SOME  
12 WAYS IT'S GOOD BECAUSE IT TAKES THE RESPONSIBILITY AWAY  
13 FROM THE INVESTIGATOR. THERE'S NO EXCUSE FOR NOT  
14 PUTTING SOMETHING IN A BANK. AND ALL OF US HAVE HAD  
15 THE EXPERIENCE OF REQUESTING A REAGENT OR A CELL LINE  
16 OR A VIRUS. THERE'S A FAMOUS STORY ABOUT THIS. AND  
17 SAY, WELL, THE FREEZER JUST BROKE DOWN. I'M SORRY.  
18 OR, GOSH, WE DON'T -- WE'LL HAVE GROW UP SOME MORE.  
19 PUT IT IN A BANK AND IT'S ALL TAKEN CARE OF.

20 DR. EGGAN: I COULD SAY IT'S BEEN LARGELY A  
21 FULL-TIME JOB FOR TWO PEOPLE IN DOUG MELTON'S  
22 LABORATORY TO DISTRIBUTE THE LINES TO THOSE PEOPLE THAT  
23 HAVE REQUESTED THEM. IT IS A SUBSTANTIAL BURDEN ON HIM  
24 THAT HE HAS TAKEN ON WITHOUT ANY RESPONSIBILITY TO DO  
25 SO. AND I THINK IT WOULD BE A LOT TO EXPECT OTHER

1 INVESTIGATORS TO DO THE SAME. A CENTRAL BANK WOULD  
2 SOLVE THAT PROBLEM.

3 MR. SHESTACK: THE REASON IS INVESTIGATORS  
4 HAVEN'T WANTED TO DO IT, AND PROGRAM OFFICERS DEVELOP  
5 CLOSE RELATIONSHIPS WITH INVESTIGATORS AND DON'T WANT  
6 TO FORCE THEM TO DO IT, AND THERE ISN'T REGULATORY  
7 LANGUAGE. THERE'S POLICY, AND IT ALSO TENDS TO VARY,  
8 MY RECOLLECTION, FROM INSTITUTE TO INSTITUTE. AND  
9 THERE ISN'T ACTUALLY FEDERALLY MANDATED LANGUAGE ABOUT  
10 BANKING OR DATA SHARING. SO I SORT OF THINK THAT THIS  
11 IS AN OPPORTUNITY TO GET IT RIGHT FROM THE BEGINNING.  
12 IT'S NOT SO ONEROUS TO A COUPLE OF INVESTIGATORS WHO  
13 WILL BE UPSET ABOUT IT, AND THERE WILL BE MANY MORE NEW  
14 INVESTIGATORS WHO WILL PROFIT FROM IT.

15 DR. HALL: THERE ARE MECHANISMS FOR DOING IT.

16 CO-CHAIR LO: I'M SENSING, MAYBE I'M JUST  
17 OVERLY OPTIMISTIC, THAT WE ARE REACHING SOME AGREEMENT  
18 HERE ON THIS SECTION. I JUST WANT TO MAKE SURE THAT WE  
19 UNDERSTAND WHAT THE TEXT IS WE'RE TALKING ABOUT. SO WE  
20 HAVE AN (A), AND ONE TO FOUR PRESENT DIFFERENT OPTIONS.  
21 IS THAT NO LONGER PART? THAT'S GOING TO BE DELETED.

22 AND THEN THE BALANCE OF THE SECTION, FROM  
23 DEVELOPMENT WE'RE GOING TO DELETE THAT BLUE SENTENCE.

24 MR. KLEIN: WELL, IN TERMS OF THE DISCUSSIONS  
25 OF WHETHER OR NOT TO DELETE THE SECTION, JON, I THINK

1 IT WAS -- THERE NEEDS TO BE AN INTRODUCTION TO THE  
2 BALANCE OF THE SECTION SO IT'S NOT CONFUSING. SO YOU  
3 SAY THE BALANCE OF THIS SECTION IS UNDER DEVELOPMENT.  
4 THE NATIONAL ACADEMY CONCEPTS UNDER CONSIDERATION ARE  
5 AS FOLLOWS, RIGHT?

6 DR. PRIETO: YES. THAT NEEDS TO BE MOVED  
7 DOWN UNDER SECTION B RATHER THAN BEFORE SECTION B. I  
8 THINK WE'VE AGREED ON LANGUAGE FOR SECTION B.

9 DR. HALL: MY SENSE IS IT SAYS ANY FACILITY  
10 ENGAGED IN, AND I THINK, AS SOMEBODY SAID, I THINK JEFF  
11 KORDOWER SAID SORT OF IF YOU'RE NOT GOING TO DO IT, YOU  
12 DON'T NEED TO FOLLOW THESE. IT'S THERE. IT DOESN'T  
13 MATTER. I THINK THAT'S --

14 DR. PRIETO: I'M NOT SURE I UNDERSTAND WHAT 1  
15 THROUGH 4 REPRESENT, DIFFERENT OPTIONS.

16 MR. LOMAX: I WAS ASKED BY THE CHAIR, BECAUSE  
17 AT THE TIME WE HAD THREE OPTIONS THAT WERE ON THE  
18 TABLE, SO I WAS TRYING TO FIND SOME CLEVER WAY TO  
19 INDICATE EACH OF THOSE THREE, AND THEN WE ADDED FOUR.  
20 SO NOW WE'RE STARTING TO WORK BACKWARDS.

21 CO-CHAIR LO: THE PROPOSAL NOW IS TO DELETE  
22 THAT BLUE LINE. ARE WE GOING TO LEAVE IN THE HES  
23 DERIVATION? ENCOURAGE AT PRESENT AND POSSIBLY  
24 MANDATED.

25 DR. HALL: LEAVE IN DERIVATION AND TAKE OUT

1 RESEARCH.

2 MR. SHESTACK: NO. JOSE SAYS YOU MAY

3 ACTUALLY NOT DERIVE -- YOU MAY DERIVE A NEW CELL, A NEW

4 VARIANT ON A STEM CELL LINE.

5 DR. CIBELLI: SHOULD BE DERIVATION AND/OR

6 RESEARCH.

7 MR. KLEIN: BUT YOU DON'T NEED BRACKETS

8 AROUND DERIVATION.

9 DR. PRIETO: WE DON'T NEED THE NUMBERS. DO

10 WE WANT TO SAY BE ENCOURAGED AND POSSIBLY MANDATED IN

11 THE FUTURE?

12 DR. KORDOWER: THIS IS THE INTERIM.

13 DR. HALL: CAN I JUST SUGGEST --

14 CO-CHAIR LO: THIS IS SIMILAR TO WHAT --

15 DR. HALL: WHAT IF IT JUST SAYS INSTITUTIONS

16 ENGAGED IN HES DERIVATION AND RESEARCH SHALL

17 PARTICIPATE IN CENTRAL REPOSITORIES FOR HES CELL LINES?

18 DR. CIBELLI: JUST NO. 4.

19 DR. KORDOWER: THE ISSUE WE WERE DEALING WITH

20 BEFORE IS DEALING WITH THE NINE MONTHS VERSUS THE

21 PERMANENT GUIDELINES. SO I THOUGHT THAT THE LANGUAGE

22 UP IN SHALL BE ENCOURAGED AT PRESENT AND MANDATED IN

23 THE FUTURE COVERS BOTH THE TEMPORARY GUIDELINES AND

24 WORKS TOWARDS THE FUTURE GUIDELINES.

25 MR. SHESTACK: I THINK ACTUALLY IF YOU JUST

1 USE 4, YOU COULD DELETE (B) AND YOU WOULD ACCOMPLISH  
2 YOUR SAME PURPOSE.

3 DR. HALL: DELETE THE FIRST PARAGRAPH?

4 DR. PRIETO: BUT THERE'S REALLY NOTHING WRONG  
5 WITH THAT LANGUAGE THAT WE JUST FLIPPED. I DON'T SEE  
6 ANY PROBLEM WITH LEAVING IT AS IT WAS. INSTITUTIONS  
7 ENGAGED IN HES DERIVATION OR RESEARCH SHALL BE  
8 ENCOURAGED AT THE PRESENT, POSSIBLY MANDATED IN THE  
9 FUTURE TO CREATE OR PARTICIPATE IN MECHANISMS FOR  
10 ESTABLISHING CENTRAL REPOSITORIES. AND THEN THE SECOND  
11 PARAGRAPH OR COULD BE PART OF THE SAME PARAGRAPH, THAT  
12 CELL LINES DERIVED ARE REQUIRED TO BE SHARED.

13 MR. KLEIN: MAKE IT C.

14 MR. SHESTACK: WE JUST WANT TO MAKE SURE  
15 THAT, LIKE, PEOPLE DON'T HAVE A PROBLEM, LIKE UCLA GETS  
16 MONEY AND THEY GO, OH, DO I GOT TO START MY OWN STEM  
17 CELL BANK? THEY DON'T. THEY JUST HAVE TO PARTICIPATE  
18 ON ONE WHEN THEY START.

19 DR. PRIETO: THAT'S WHY IT SAYS CREATE OR  
20 PARTICIPATE IN.

21 DR. HALL: JUST SAY PARTICIPATE IN CENTRAL  
22 REPOSITORIES. I DON'T KNOW THAT YOU HAVE TO  
23 PARTICIPATE IN MECHANISMS FOR ESTABLISHING. LEAVE THAT  
24 OUT. PARTICIPATE IN CENTRAL REPOSITORIES.

25 DR. CIBELLI: SO YOU'RE TRYING TO MAKE A



1 DISTINCTION BETWEEN RESEARCH BEING DONE WITH THE FUNDS  
2 THAT ARE NOT FROM THE INSTITUTE AND FROM MONIES THAT  
3 ARE COMING FROM THE INSTITUTE. ONE PARAGRAPH AND THE  
4 NEXT.

5 DR. HALL: WANT TO PUT ENGAGED IN CIRM-FUNDED  
6 HES?

7 DR. PRIETO: YES.

8 CO-CHAIR LO: SECOND PARAGRAPH IN BLUE, YOU  
9 WANT TO TALK ABOUT LINES DERIVED FROM CIRM FUNDS, AND  
10 SOMEONE RAISED THE POINT IF YOU DON'T ACTUALLY DERIVE,  
11 IF THEY'VE BEEN MODIFIED.

12 DR. EGGAN: MODIFIED IN ANY WAY. CELL LINE  
13 DERIVED OR MODIFIED.

14 DR. PRIETO: DERIVED OR MODIFIED IN ANY WAY  
15 WITH CIRM FUNDS.

16 (OVERLAPPING DISCUSSION AMONG MEMBERS.)

17 MR. KLEIN: THAT SHOULD BE A (C) SO IT'S  
18 CLEARLY -- SO IT'S NOT AN EXPLANATION OF (B).

19 CO-CHAIR LO: NEXT LINE, BALANCE OF THE  
20 SECTION UNDER DEVELOPMENT.

21 MR. KLEIN: THAT WOULD BE A (D).

22 SAY THE BALANCE OF THE SECTION IS UNDER  
23 DEVELOPMENT. THE FOLLOWING NATIONAL ACADEMY PROVISIONS  
24 ARE UNDER CONSIDERATION.

25 DR. HALL: LET ME JUST SAY I THINK THAT'S

1       AWKWARD IN THE SENSE OF THE WHOLE THING IS UNDER  
2       DEVELOPMENT, AS SOMEBODY COMMENTED BEFORE. AND I  
3       JUST -- WHAT LANGUAGE, I THINK WE CAN PUT IN SOMETHING  
4       THAT JUST SAYS THE FOLLOWING GUIDELINES -- YOU COULD  
5       EVEN SAY CIRM ENDORSES THE FOLLOWING GUIDELINES FOR THE  
6       ESTABLISHMENT OF STEM CELL REPOSITORIES. HOW IS THAT?

7               MR. SHESTACK: IF THEY DO, I DON'T KNOW. I  
8       MEAN THAT'S A LOT OF MATERIAL. MORE TO GO THROUGH THAN  
9       I WAS PREPARED FOR PERSONALLY. I'M QUITE SATISFIED  
10      WITH (C).

11             DR. HALL: IT DOESN'T MATTER. YOU CAN JUST  
12      GET RID OF IT.

13             CO-CHAIR LO: BEFORE WE GET RID OF IT, IT  
14      STRUCK ME ON PAGE 7, NO. 2, SOME OF THAT MIGHT BE  
15      REFRAMED AS WHAT RESEARCHERS, EITHER DERIVING OR  
16      MODIFYING STEM CELL LINES, NEED TO GATHER INFORMATION  
17      ABOUT, HOPEFULLY IN A STANDARDIZED WAY, THAT FED INTO  
18      THIS CIRM-FUNDED DATABANK.

19             MR. SHESTACK: I DON'T WANT US TO SEE THIS  
20      INFORMATION DISAPPEAR FROM THE DISCUSSION, RIGHT,  
21      BECAUSE THE POINT IS AVAILABLE CELL LINES SHOULD BE  
22      CHARACTERIZED TO -- CHARACTERIZATION, KARYOTYPING,  
23      GENETICS. THIS IS A GOOD IDEA. IT SHOULD BE IN YOUR  
24      SCIENTIFIC GUIDELINES.

25             DR. HALL: WHY DON'T WE JUST SAY THAT WE

1 ENDORSE THESE, AND THEN THIS IS AN INTERIM GUIDELINES.  
2 WE CAN REVISIT IT AND EDIT IT, AND THAT WAY I THINK WE  
3 CAN JUST DISPOSE OF IT QUICKLY AND MOVE ON.

4 MR. SHESTACK: I DON'T WANT TO SEE IT VANISH.

5 DR. HALL: IS THAT OKAY? I THINK TO SAY IT'S  
6 UNDER DEVELOPMENT. AS YOU SAY, THERE'S A POSITIVE  
7 THING TO SAY.

8 MR. KLEIN: SO YOUR INTRODUCTION UP HERE ON  
9 (D) IS YOU COULD SAY IT'S UNDER DEVELOPMENT WHEN YOU  
10 SAY THE BALANCE OF THIS SECTION IS ENDORSED BY.

11 DR. HALL: THE CIRM ENDORSES THE FOLLOWING  
12 GUIDELINES FOR THE ESTABLISHMENT OF STEM CELL BANKS.

13 (OVERLAPPING DISCUSSION AMONG THE  
14 MEMBERS.)

15 DR. HALL: DOESN'T SAY WHO HAS TO CREATE THEM  
16 OR ANYTHING LIKE THAT. I THINK IT HAS THE VALUE  
17 THAT --

18 DR. PRIETO: DO YOU WANT TO RESTATE THAT  
19 THEY'RE INTERIM? ENDORSES THE FOLLOWING INTERIM  
20 GUIDELINES.

21 DR. HALL: THERE'S VERY POSITIVE POINTS IN  
22 HERE.

23 DR. PRIETO: THERE ARE SOME VERY GOOD POINTS  
24 IN THERE.

25 CO-CHAIR LO: LET'S GET THE LANGUAGE RIGHT.

1                   MR. KLEIN: JAMES IS A LITTLE TORMENTED HERE.  
2 THIS IS AN INFORMATIONAL SECTION OF THE GUIDELINES.

3                   MR. HARRISON: RIGHT. SO YOU'RE NOT ADOPTING  
4 THESE AS REGULATIONS THAT WILL GOVERN RESEARCHERS OR  
5 INVESTIGATORS. THESE ARE ASPIRATIONAL AT THIS POINT IN  
6 TIME.

7                   DR. HALL: I THINK THEY'RE IDENTIFIED  
8 INCORRECTLY. THAT IS ACTUALLY EXACTLY WHAT THEY ARE.  
9 AND I THINK THE PROBLEM WE'VE BEEN WRESTLING WITH IN A  
10 WAY IS THAT THEY APPEAR IN A REGULATORY DOCUMENT.

11                  DR. EGGAN: ENDORSES, BUT NOT REQUIRES.

12                  MR. HARRISON: ENCOURAGES, THEN, I THINK IS  
13 THE LANGUAGE. ENCOURAGES, BUT NOT DOES NOT REQUIRE THE  
14 FOLLOWING.

15                  DR. KIESSLING: I'M CONCERNED ABOUT TWO  
16 THINGS. ONE, I'M CONCERNED ABOUT THEM BEING QUOTED OUT  
17 OF CONTEXT. SOMEBODY IS GOING TO LOOK AT THESE AND  
18 THEY'RE GOING TO FORGET THE FACT THAT THERE'S AN  
19 INTRODUCTORY HERE THAT SAYS THIS IS WHAT WE'RE THINKING  
20 ABOUT.

21                  SECONDLY, I'M NOT SURE THESE ARE -- I'M NOT  
22 SURE THAT YOU WANT THIS KIND OF OUTLINE. I THINK THAT  
23 YOU MAY WANT THIS PRESENTED IN AN ENTIRELY DIFFERENT  
24 WAY IN THE FUTURE.

25                  DR. HALL: IT'S PRESENTED IN AN ENTIRELY

1 DIFFERENT WAY IN THE FUTURE.

2 DR. KIESSLING: I DON'T SEE AN ADVANTAGE TO  
3 KEEPING THESE IN HERE IF WE HAVEN'T ADOPTED THEM AS  
4 REGULATIONS. WE'RE TRYING TO ADOPT INTERIM GUIDELINES.  
5 WE HAVEN'T ADOPTED THESE. YOU'RE WORRIED ABOUT THEM  
6 DISAPPEARING. I THINK THAT'S A DECENT WORRY, BUT TO  
7 LEAVE THEM IN WHAT WE'RE ADOPTING AS INTERIM  
8 GUIDELINES, I THINK, OPENS US UP TO HAVING TO DEAL WITH  
9 SOMETHING IN THE FUTURE.

10 MR. SHESTACK: FOR THE SAME REASON, I AM  
11 WORRIED ABOUT THE SCRUTINY THAT OUR INTERIM GUIDELINES  
12 WILL BE PUT UNDER, WHICH IS WHY I'M CONCERNED THAT THIS  
13 NOT DISAPPEAR FROM THEM. THAT'S ALSO WHY YOU'RE  
14 CONCERNED THAT IT BE THERE.

15 CO-CHAIR LO: THIS HAS GOT TO HAVE COME UP  
16 BEFORE WITH OTHER DRAFTERS OF REGULATIONS. IS THIS  
17 ENDORSES, BUT DOES NOT REQUIRE --

18 MR. KLEIN: ENCOURAGES.

19 MR. HARRISON: YEAH. CIRM ENCOURAGES, BUT  
20 DOES NOT REQUIRE. IT'S A LITTLE BIT ODD BECAUSE  
21 REGULATIONS TYPICALLY GOVERN CONDUCT. THEY'RE NOT  
22 ASPIRATIONAL, BUT THESE ARE INTERIM GUIDELINES. TO  
23 SOLVE THE VARIOUS GOALS THAT YOU ARE TRYING TO ACHIEVE,  
24 THIS IS A PLACEHOLDER.

25 DR. HALL: ANYBODY IN THE SCIENTIFIC

1 COMMUNITY WILL RECOGNIZE THESE AS COMING FROM THE --  
2 CONCERNED WITH STEM CELL RESEARCH WILL RECOGNIZE THESE  
3 AS COMING FROM THE NATIONAL ACADEMY GUIDELINES, I THINK  
4 WILL UNDERSTAND. I SUGGEST WE TAKE THAT SOLUTION, MOVE  
5 ON.

6 CO-CHAIR LO: DO WE HAVE A PACKAGE HERE? CAN  
7 I HEAR A MOTION TO ADOPT?

8 DR. KORDOWER: I HAVE A MOTION.

9 MR. KLEIN: SECOND.

10 CO-CHAIR LO: ANY FURTHER DISCUSSION? ANY  
11 PUBLIC DISCUSSION?

12 MR. PECKMAN: IT'S BEEN A LONG DAY. IN TERMS  
13 OF SECTION 9(A), I WOULD SUBMIT THAT THE REGISTRY  
14 REQUIREMENT IS AN ESCRO REQUIREMENT. IT'S NOT A  
15 BANKING REQUIREMENT. IT SHOULD BE REMOVED FROM THIS  
16 SECTION COMPLETELY AND PUT UNDER ESCRO.

17 TWO, REGARDING THAT SECTION, I THINK YOU NEED  
18 TO SAY SOMETHING ABOUT THE EXEMPTION POLICY THAT YOU  
19 IMPLEMENTED THIS MORNING, WHICH IS ALL THE  
20 DOCUMENTATION IS REQUIRED UNLESS OTHERWISE EXEMPTED BY  
21 SECTION 2.

22 DR. EGGAN: I DISAGREE. I THINK THAT IF YOU  
23 HAVE ONE OF YOUR STEM CELL LINES IN ONE OF THOSE BANKS,  
24 YOU SHOULD SAY SO.

25 MR. PECKMAN: THAT'S RIGHT. BUT IF YOU LOOK

1 AT SECTION 9(A), IT REQUIRES ALL THE DOCUMENTATION  
2 REGARDING HOW THEY WERE ATTAINED ETHICALLY WITH  
3 INFORMED CONSENT IN A MANNER CONSISTENT WITH BLAH,  
4 BLAH, BLAH, WHICH YOU'VE ALREADY WAIVED IN SECTION 2  
5 THIS MORNING. SO YOU NEED TO REITERATE THAT. THOSE  
6 ARE MY TWO SUGGESTIONS. THANK YOU.

7 CO-CHAIR LO: I'M SORRY. WE'RE NOT SURE WE  
8 UNDERSTOOD THE FIRST ONE.

9 MR. PECKMAN: THE FIRST ONE IS THAT WHAT  
10 YOU'RE TALKING ABOUT HERE IN SECTION 9 ARE TWO THINGS  
11 THAT ARE REALLY DIFFERENT THINGS. ONE IS A REGISTRY.  
12 THAT'S A RESPONSIBILITY OF THE ESCRO COMMITTEE AS  
13 OUTLINED EARLIER. IT'S NOT A BANKING FUNCTION. IT'S  
14 AN INSTITUTIONAL FUNCTION. SO THIS SECTION A SHOULD BE  
15 REMOVED AND PUT UNDER ESCRO AS AN ESCRO FUNCTION, TO  
16 MAINTAIN REGISTRIES FOR THE TYPE OF CELLS AND THE TYPES  
17 OF RESEARCH THAT ARE BEING DONE AT THE INSTITUTION.

18 TWO IS THAT THE EXEMPTION THAT YOU DID  
19 EARLIER TODAY HAS TO BE INCLUDED IN THIS SECTION,  
20 OTHERWISE IT WON'T BE EXEMPT. THEN YOU RESERVE THAT  
21 SECTION TOTALLY FOR BANKING AND NOT FOR REGISTRIES.

22 DR. EGGAN: I MOVE THAT SECTION 100009(A)  
23 BECOME SECTION 100006(C)(6).

24 MR. KLEIN: AND DO YOU HAVE A SECOND PART TO  
25 THAT?

1 DR. EGGAN: AND THAT WE ADOPT THIS  
2 GRANDFATHERING CLAUSE AS BROUGHT TO THE FLOOR.

3 MS. CHARO: ACTUALLY IT'S ALREADY THERE.  
4 IT'S (C)(4). ACTUALLY 9(A) IS REDUNDANT TO (C)(4) ON  
5 THE BOTTOM OF PAGE 3. IT'S THERE.

6 DR. PRIETO: EXCEPT FOR ADDING --

7 CO-CHAIR LO: I'M WILLING TO LEAVE THIS UP TO  
8 STAFF TO GO THROUGH THIS BECAUSE I DON'T THINK IT'S  
9 THAT SUBSTANTIVE. WE'D LIKE TO MAKE SURE THAT WHAT NOW  
10 IS 100009(A) IS THERE WITH THE EXEMPTION. I'D BE  
11 WILLING TO LEAVE THAT TO STAFF.

12 DR. HALL: LET'S JUST GET IT IN THE RIGHT  
13 PLACE AND MOVE IT, AND WE'LL SMOOTH OUT THE PROBLEMS  
14 WITH IT.

15 CO-CHAIR LO: WE HAVE ANOTHER PUBLIC COMMENT.

16 MR. REED: WE LEFT THE IRB WITHOUT A PUBLIC  
17 COMMENT ON THAT. I WANT TO GO BACK TO THAT FOR A  
18 SECOND. THE IRB --

19 CO-CHAIR LO: WE'RE GOING TO HAVE TO COME  
20 BACK TO THAT AS A COMMITTEE.

21 MR. REED: WE WILL COME BACK TO THE IRB?

22 CO-CHAIR LO: DEFINITELY. WE HAVE TO SAY  
23 SOMETHING ABOUT THAT, OR ELSE WE DON'T HAVE INTERIM  
24 GUIDELINES. I'M TRYING TO GET US THROUGH THIS ONE. I  
25 THINK WE DO HAVE AGREEMENT ON THIS LANGUAGE.



1 I'D LIKE TO CALL THE QUESTION. THE LANGUAGE  
2 IN BLUE ON THE SCREEN PLUS ASKING THE STAFF TO MOVE  
3 THAT PARAGRAPH A TO THE OTHER SECTION, IF NEEDED. ALL  
4 THOSE IN FAVOR. ANY OPPOSED? SO THAT'S UNANIMOUSLY  
5 PASSED. I THINK THAT'S A GOOD WAY OF DEALING WITH WHAT  
6 WAS A DIFFICULT AND COMPLICATED ISSUE. THANK YOU MUCH  
7 FOR LEADING US THROUGH THIS.

8 MR. KLEIN: WE SHOULD APPLAUD THE CHAIRMAN  
9 FOR LEADING US THROUGH THIS.

10 (APPLAUSE.)

11 CO-CHAIR LO: NOW I WANT TO GO BACK TO THE  
12 ESCRO/IRB ISSUE, WHICH WE AGREED TO DEFER, AND IT'S  
13 EXACTLY 5 O'CLOCK. SO LET'S GO BACK. AND WITH WHAT  
14 STEVE PECKMAN LED US THROUGH AND THE QUESTIONS AND  
15 DISCUSSIONS AFTERWARDS, LET'S GO BACK TO THE -- SOMEONE  
16 HELP ME -- IT'S SECTION 100006 ON PAGE 3, WHICH IS THE  
17 ESCRO OVERSIGHT COMMITTEE.

18 SOMEONE IS GOING TO HAVE TO REMIND ME. WE  
19 HAD TABLED A MOTION ABOUT THAT. IF SOMEONE ON THE  
20 STAFF COULD REFRESH US ON WHAT WE HAVE IN FRONT OF US.

21 MR. HARRISON: THE MOTION THAT WAS ON THE  
22 TABLE WAS TO DELETE THE LAST TWO SENTENCES IN, FOR EASE  
23 OF REFERENCE, SECTION 6(B).

24 CO-CHAIR LO: AN INSTITUTION MAY CONSTITUTE  
25 FROM AMONG EXISTING MEMBERS. IT SHALL NOT BE A

1 SUBCOMMITTEE. IN LIGHT OF WHAT WE HEARD FROM STEVE AND  
2 OUR DISCUSSION AFTERWARDS, IS THERE ANY ADDITIONAL  
3 DISCUSSION OF THAT PARTICULAR ISSUE FOR DELETING THOSE  
4 TWO SENTENCES? ANYONE CHANGE THEIR MINDS? CONVINCED  
5 ONE WAY OR THE OTHER OR NEW ISSUES RAISED?

6 MR. SHESTACK: I'M JUST TRYING TO UNDERSTAND  
7 WHAT HE SAID. WHAT STEVE SAID HE WAS GOING TO TELL US  
8 WAS THAT INTERIM GUIDELINES ARE VERY HARD TO CHANGE,  
9 AND IT'S LIKE MOVING A SHIP ONCE IT STARTS; BUT, IN  
10 FACT, HE DIDN'T ADDRESS THAT PARTICULARLY AT ALL. AND  
11 SO I DON'T UNDERSTAND WHETHER OR NOT -- YOU MENTIONED  
12 IT IN PASSING, BUT I DON'T KNOW HOW THAT POINT PERTAINS  
13 TO WHETHER OR NOT THIS GROUP SHOULD DECIDE TO STRIKE  
14 THESE SENTENCES OR NOT.

15 MR. PECKMAN: I'M HAPPY TO RESPOND TO THAT.  
16 FIRST OF ALL, I WASN'T AWARE THAT THE INTERIM  
17 GUIDELINES WERE GOING TO BE MADE GUIDELINES TODAY. SO  
18 WHEN I WAS ASKED TO GIVE THIS PRESENTATION, IT WAS  
19 ABOUT ONE THING, NOT THE OTHER. HOWEVER, I THINK I CAN  
20 ADDRESS THAT, WHICH IS THAT INSTITUTIONS HAVE ALREADY  
21 IMPLEMENTED GUIDELINES. INSTITUTIONS HAVE ALREADY  
22 IMPLEMENTED STRUCTURES TO BE IN COMPLIANCE WITH THE  
23 CURRENT LAW. AND THAT IF YOU ARE GOING TO LOCK IN NOW  
24 CERTAIN TYPES OF GUIDELINES THAT ARE INCONSISTENT WITH  
25 WHAT INSTITUTIONS HAVE ALREADY DONE, THEN THEY'RE GOING

1 TO HAVE TO CHANGE THOSE IN ORDER TO CONTINUE WITH THE  
2 RESEARCH THAT THEY'RE CURRENTLY REVIEWING.

3 MR. SHESTACK: THEN PERHAPS CHANGE THEM  
4 AGAIN.

5 MR. PECKMAN: THAT'S CORRECT.

6 MR. SHESTACK: AS THIS GROUP CHANGES.

7 MR. PECKMAN: THAT'S CORRECT.

8 CO-CHAIR LANSING: AREN'T THEY DOING THESE  
9 INTERIM GUIDELINES?

10 MR. PECKMAN: NO, THEY'RE NOT.

11 DR. HALL: LET ME JUST SAY THAT I THINK THIS  
12 PARTICULAR --

13 MR. PECKMAN: SOME ARE, SOME ARE NOT.

14 DR. HALL: THE TERM THAT ANN USED WAS A VERY  
15 GOOD ONE, AND THAT IS THAT IT'S A SORT OF NEGATIVE  
16 REGULATION. THAT IS, I THINK THE IMPLICATIONS OF THIS  
17 PARTICULAR, AMONG THE VARIATIONS THAT YOU PRESENTED IN  
18 YOUR VERY NICE DISCUSSION, BY THE WAY, I THINK THIS ONE  
19 HAS IMPLICATIONS THAT GO BEYOND SORT OF THE  
20 BUREAUCRATIC WHAT IS THE MOST EFFICIENT AND SO FORTH.  
21 AND SO I THINK THERE'S A REAL STATEMENT MADE HERE, AND  
22 I THINK FOR US TO TAKE THAT OUT HAS THE SIGNIFICANCE  
23 THAT I FEEL -- I HOPE WE WON'T FEEL NECESSARY TO TAKE  
24 AT THIS STAGE OF THE GAME.

25 MR. KLEIN: THERE'S ALSO A VERY STRONG --

1       THERE' S A VERY STRONG SENTIMENT, AT LEAST WHEN THIS  
2       INITIATIVE WAS BEING WRITTEN, I CAN TELL YOU THAT  
3       THERE' S A REAL PROBLEM IN ALL THIS RESEARCH BEING  
4       SHOVED THROUGH IRB' S. AND YET IRB' S ARE VERY POWERFUL  
5       AT DIFFERENT INSTITUTIONS AND CAN EFFECTIVELY FORCE  
6       POLITICALLY THINGS THROUGH THE IRB' S. AND THERE' S A  
7       VALUE TO HAVING A STATEMENT THAT THE ESCRO COMMITTEE  
8       SHALL NOT BE A SUBCOMMITTEE OF THE IRB BECAUSE IT GIVES  
9       PEOPLE A BASIS FOR ESTABLISHING A SEPARATE ESCRO AND  
10      GETS A LOT OF RESEARCH THAT IS NOT INVOLVED IN HUMAN  
11      SUBJECTS OUT FROM BEHIND THAT LOGJAM. THAT' S A REAL  
12      PROBLEM AT MANY INSTITUTIONS.

13                 MR. SHESTACK: CAN I JUST ASK A COUPLE  
14      PRACTICAL QUESTIONS? EVERY INSTITUTION HAS AN IRB, BUT  
15      DOES EVERY FACILITY IN CALIFORNIA WHO WILL BE APPLYING  
16      FOR TRAINING GRANTS, FOR INSTANCE, ALREADY HAVE AN  
17      ESCRO COMMITTEE?

18                 DR. HALL: NO. THERE HAS BEEN DISCUSSION  
19      ABOUT THIS. AND ONE OF THE THINGS THAT WE PUT IN THIS,  
20      SEVERAL OF THE SMALLER INSTITUTIONS WANT TO WORK OUT  
21      ARRANGEMENTS WHERE THEY CAN SHARE AN ESCRO, AND WE MADE  
22      AN ADAPTATION TO THE GUIDELINES FOR THAT. GEOFF SHOWED  
23      YOU THAT EARLIER, WHICH WE THINK THAT' S QUITE A  
24      REASONABLE SOLUTION. AND --

25                 MR. SHESTACK: IF WE PASS THESE GUIDELINES,

1 FOR INSTANCE, TODAY, EVERYBODY WHO DOESN'T HAVE ONE  
2 WILL EITHER COLLABORATE OR FORM ONE, BUT WE'RE  
3 RESERVING THE RIGHT, FOR INSTANCE, SUBSEQUENTLY TO SAY,  
4 YOU KNOW WHAT, WHAT WOULD ACTUALLY BE THE MOST  
5 EXPEDITIOUS FOR RESEARCH IS IF THERE WAS ONE CENTRAL  
6 STATE ESCRO, SOMETHING THAT IS IN STEVE'S REPORT.

7 DR. HALL: IT'S UNAVOIDABLE. WHAT STEVE SAID  
8 IS WHATEVER WE DECIDE TODAY, IF IT'S NOT A FINAL  
9 DECISION, MAY BE CHANGED. AND THAT WILL HAVE  
10 IMPLICATIONS FOR THE INSTITUTIONS. WE WILL KEEP THEM  
11 IN TOUCH. AND I THINK, AGAIN, WE WILL KNOW VERY SOON  
12 WHAT'S GOING TO HAPPEN, BUT THE DISCUSSION HAS ALREADY  
13 STARTED, AND MANY INSTITUTIONS HAVE MOVED TO SET UP  
14 ESCRO'S IN ONE FORM OR ANOTHER. AND I THINK WHAT THIS  
15 DOES IS TO SIMPLY, FOR REASONS THAT WE'VE ALREADY  
16 DISCUSSED AT LENGTH, SAY THAT ONE FORM OF THIS IS NOT A  
17 GOOD IDEA.

18 MR. SHESTACK: RIGHT. THANK YOU.

19 MS. CHARO: YOU KNOW, THINKING ABOUT STEVE'S  
20 PRESENTATION, THINKING ABOUT ALL THE CONCERNS ABOUT THE  
21 SYMBOLISM AND POLITICS AROUND THIS, IT DOES SEEM THAT  
22 THE SENTENCES AS WRITTEN MIGHT BE AMENDED SLIGHTLY TO  
23 ACCOMMODATE A LOT OF PEOPLE'S INTEREST. IT NOW SAYS AN  
24 INSTITUTION MAY CONSTITUTE AN ESCRO COMMITTEE FROM  
25 AMONG THE MEMBERS OF AN EXISTING IRB. WE CAN AMEND

1 THAT TO SAY MAY CONSTITUTE AN ESCRO COMMITTEE FROM  
2 AMONG THE MEMBERS AND STAFF OF AN EXISTING IRB. THAT  
3 COVERS ALL THE PERSONNEL.

4 THE ESCRO COMMITTEE -- YOU CAN ALSO EVEN ADD  
5 THAT AN INSTITUTION MAY DELEGATE THE ESCRO FUNCTIONS  
6 THAT DIRECTLY CONCERN HUMAN SUBJECTS TO AN IRB. THAT'S  
7 ACTUALLY ALREADY PRESENT IN THE NAS GUIDELINES. AND  
8 THEN THE ESCRO COMMITTEE, HOWEVER, SHALL NOT BE A  
9 FORMAL SUBCOMMITTEE OF AN IRB CLEARLY SIGNALS THAT IT  
10 IS NOT DIRECTLY REPORTING TO. WHERE IT DOESN'T HAVE  
11 TO, IT'S NOT SUBJECT TO ALL OF THE MISCELLANEOUS 45 CFR  
12 REQUIREMENTS THAT YOU MIGHT NOT HAVE WANTED IT TO BE  
13 SUBJECT TO AND HAVE ITS OWN SCHEDULE, ETC. I THINK  
14 IT'S A WAY OF ALLOWING INSTITUTIONS TO LEVERAGE THEIR  
15 PERSONNEL AND THEIR RESOURCES TO THE MAX WHILE KEEPING  
16 SOME LEGALISTIC DISTINCTION THAT PRESERVES THE  
17 INDEPENDENCE OF THE ESCRO. I THINK THAT MIGHT BE A  
18 COMPROMISE THAT EVERYBODY CAN LIVE WITH FOR THE MOMENT  
19 WHILE WE FINALIZE THESE THINGS OVER TIME.

20 DR. HALL: YOU DON'T THINK THE WORD "FORMAL"  
21 IS A SLIPPERY SLOPE THERE?

22 MS. CHARO: SLIPPERY SLOPE, YOU JUST NEED  
23 SPEED BUMPS ON THE SLIPPERY SLOPE.

24 MR. SHESTACK: EXPEDITIOUS IF AN IRB COMES IN  
25 AND SAYS, OKAY, NOW, 3 O'CLOCK WE'RE THE ESCRO

1 COMMITTEE. LET'S GET IT ALL DONE. THAT'S WHAT YOU'RE  
2 PROPOSING THAT THEY'RE ABLE TO DO.

3 MS. CHARO: I WOULD LIKE TO MAKE SURE THAT  
4 THEY'RE NOT SUBJECT TO 45 CFR. THAT'S ONE OF MY GOALS.  
5 MY SECOND GOAL HAD TO DO WITH POLITICAL  
6 SYMBOLISM, BUT I'M RECOGNIZING THE NERVOUSNESS IN  
7 CALIFORNIA BECAUSE OF THE INVESTMENT WITH CALIFORNIA  
8 LAW. SO I'M TRYING TO WORK ON TWO TRACKS HERE AT ONCE  
9 AND SEE IF THERE'S SOME STRUCTURES THAT SATISFY  
10 EVERYBODY.

11 MR. KLEIN: I THINK WE'RE GONG TO GET  
12 LITIGATION IF WE TRY THE WORD "FORMAL." IT'S JUST TOO  
13 CLOSE. YOU'RE JUST SETTING US UP. WE BETTER KEEP THE  
14 DISTINCTION.

15 DR. EGGAN: I'D LIKE TO EVEN GO ONE STEP  
16 FURTHER THAN THAT. I WOULD LIKE TO HOPE THAT  
17 INSTITUTIONS ARE GOING TO TAKE THIS REALLY SERIOUSLY  
18 AND UNDERSTAND THAT THE ISSUES ARE SO SIGNIFICANTLY  
19 DIFFERENT, THAT THEY REALLY WILL FIND NEW PERSONNEL,  
20 MAYBE NOT NEW STAFF, BUT THAT THEY WILL CHOOSE PEOPLE  
21 BASED ON THEIR ABILITY TO THINK ABOUT THESE ISSUES, NOT  
22 OUT OF CONVENIENCE SO THAT THERE WILL BE SERIOUS  
23 DISCOURSE ABOUT THESE THINGS BECAUSE IF THERE'S NOT,  
24 THERE'S GOING TO BE BIGGER PROBLEMS.

25 DR. OLDEN: I THINK THAT'S THE REASON THAT

1 THE NATIONAL ACADEMY OF SCIENCE COMMITTEE MADE THE  
2 RECOMMENDATION IN THE FIRST PLACE IS THAT THEY DID WANT  
3 THERE TO BE SERIOUS DIALOGUE ABOUT THIS IMPORTANT  
4 ISSUE. BECAUSE IF YOU THINK ABOUT THE IMAGE OF IRB'S,  
5 IT IS NOT SO GOOD. AND TO ASSURE THE AMERICAN PEOPLE  
6 THAT WE'RE TAKING THIS SERIOUSLY, I THINK WE NEED A NEW  
7 COMMITTEE WITH DIFFERENT KIND OF COMMITMENT TO  
8 PROTECTING HUMAN SUBJECTS. SO I THINK THIS IS A GOOD  
9 THING TO HAVE IN THERE. AS A CITIZEN, I FEEL MUCH MORE  
10 CONFIDENT HAVING ANOTHER CONSTITUTED COMMITTEE  
11 RESPONSIBLE FOR OVERSIGHT THAN HAVING THE IRB, THAT  
12 CERTAINLY THERE'S SOME HIGHLY PUBLICIZED DISASTERS, AND  
13 THOSE WERE REVIEWED IN MOST CASES BY IRB'S.

14 CO-CHAIR LO: I'M GOING TO HAVE ONE MORE  
15 ROUND OF COMMENTS. I HAVE ANN, FRANCISCO, KEVIN.  
16 ANYONE ELSE THAT I MISSED? ROB.

17 DR. KIESSLING: I'M THE ONE THAT ORIGINALLY  
18 MADE THE MOTION, I THINK, TO DELETE THESE TWO  
19 SENTENCES, OR MAYBE YOU MADE IT, JEFF. BUT I WAS THE  
20 ONE WHO WAS INITIALLY INTERESTED IN DELETING THOSE TWO  
21 SENTENCES UNTIL THIS DISCUSSION REMINDED ME OF HOW  
22 IMPORTANT IT IS THAT THESE ENTITIES NOT BE CONSIDERED  
23 HUMAN SUBJECTS. AND THAT'S ACTUALLY CRITICAL. SO  
24 SOMEHOW WHAT KEVIN IS PROPOSING -- MAYBE YOU WANT TO  
25 MAKE THIS LANGUAGE STRONGER, KEVIN. AND THAT ESCRO'S



1 MAY NOT BE COMPOSED ENTIRELY OF IRB MEMBERS. I DON'T  
2 KNOW IF YOU WANT TO GO TO THAT EXTENT.

3 DR. EGGAN: I'M HAPPY TO WAIT ON THAT. I'M  
4 HAPPY TO WAIT.

5 DR. KIESSLING: BUT IT IS REALLY CRITICALLY  
6 IMPORTANT THAT THERE BE SOME LANGUAGE IN HERE THAT  
7 MAKES IT REALLY CLEAR THAT THE EMBRYOS THEMSELVES THAT  
8 ARE USED FOR DERIVATION ARE NOT HUMAN SUBJECTS.

9 DR. OLDEN: ALSO, AT THE NIH WE CERTAINLY  
10 HAVE THE IMPRESSION, BECAUSE UNIVERSITIES HAVE TOLD US  
11 THAT, THAT IRB'S ARE OVERWORKED ALREADY. SO GIVING  
12 THEM ANOTHER RESPONSIBILITY SEEMS TO ME IT'S JUST  
13 PROVIDING AND CREATING AN OPPORTUNITY FOR MORE FAILURES  
14 OF THE IRB. SO...

15 DR. PRIETO: I WANTED TO ENDORSE THE CHANGES  
16 THAT ALTA PROPOSED BECAUSE I THINK THAT I DO FEEL MORE  
17 COMFORTABLE HAVING THIS IN THERE. AND I UNDERSTAND THE  
18 QUESTIONS THE GENTLEMAN FROM UCLA RAISED, BUT I THINK  
19 THERE ARE ALREADY SEVERAL CLASSES OF RECOMMENDATIONS.  
20 WE HAVE THE ISSUE OF NIH AND NON-NIH-FUNDED RESEARCH  
21 ALREADY THAT INSTITUTIONS ARE DEALING WITH. I THINK WE  
22 HAVE TO LOOK AT WHAT THE DOMINANT NATIONAL STANDARDS  
23 AND INTERNATIONAL STANDARDS ARE GOING TO BE AND TRYING  
24 TO BE CONGRUENT WITH THOSE AS MUCH AS POSSIBLE.

25 AND I THINK WITH THESE CHANGES, THE LANGUAGE

1 WE HAVE NOW IS SOMETHING WE CAN GO FORWARD WITH.

2 DR. EGGAN: I WAS JUST GOING TO ADD A  
3 STATEMENT SAYING, BELIEVE ME, AS A SCIENTIST, IT PAINS  
4 ME TO HEAR MYSELF ENDORSING MORE REGULATION. I FEEL SO  
5 STRONGLY THAT THIS IS IMPORTANT, THAT, YOU KNOW, I  
6 THINK THIS OTHER LEVEL OF OVERSIGHT WILL PREVENT  
7 PROBLEMS WITH PUBLIC CONFIDENCE, WHICH ARE THE THINGS  
8 THAT ARE GOING TO UNDERMINE US THE MOST.

9 DR. TAYLOR: I WAS JUST GOING TO MAKE A  
10 COMMENT THAT WAS ACTUALLY FROM STEVE'S PRESENTATION. I  
11 THINK THAT THE SEPARATION OF KIND OF CHURCH AND STATE  
12 AND IRB AND ESCRO'S IS REALLY IMPORTANT FROM EXACTLY  
13 THE POINT THAT ANN MADE. BUT ON HIS FIFTH OR SIXTH  
14 SLIDE, THERE WAS A STATEMENT THAT HUMAN SUBJECTS WERE  
15 DEFINED AS SORT OF HUMANS UNDERGOING SORT OF  
16 INVESTIGATION OR HUMAN SUBJECTS CONTAINING IDENTIFYING  
17 INFORMATION OF A LIVING INDIVIDUAL. I'M A LITTLE BIT  
18 CONCERNED ABOUT GOING FORWARD WHERE THIS IS GOING TO  
19 PUT OUR EMBRYONIC STEM CELL RESEARCH.

20 THIS MIGHT BE KIND OF A TECHNICALITY. MAYBE  
21 I'M OVERINTERPRETING IT, BUT I'M A LITTLE BIT CONCERNED  
22 THAT AS WE GATHER THE IDENTIFYING INFORMATION, GENETIC  
23 INFORMATION --

24 MS. CHARO: ROB, THERE'S A WAY AROUND IT.  
25 THERE'S A WAY AROUND IT BECAUSE BASICALLY ANY TIME YOU

1 WORK WITH MATERIAL THAT COULD BE LINKED BACK TO AN  
2 IDENTIFIABLE PERSON, THAT IDENTIFIABLE PERSON NOW IS  
3 POTENTIALLY A HUMAN SUBJECT. AND SO THE REGULATIONS  
4 NOW ALLOW YOU TO WORK WITH THE MATERIAL WITH THE  
5 INFORMATION THAT IDENTIFIES THOSE INDIVIDUALS IN A  
6 CODED FASHION. AND SO LONG AS THE INDIVIDUALS ARE  
7 NOT -- WHAT WAS THE EXACT PHRASE -- READILY  
8 ASCERTAINABLE.

9 CO-CHAIR LO: AS LONG AS THE --

10 MS. CHARO: AS LONG AS THE INDIVIDUALS FROM  
11 WHOM THE MATERIALS CAME ARE NOT READILY ASCERTAINABLE  
12 TO THE INVESTIGATOR, THOSE INDIVIDUALS WILL NOT BE  
13 CONSIDERED HUMAN SUBJECTS, AND YOU CAN WORK AWAY WITH  
14 THE BIOLOGICAL MATERIALS WITHOUT HAVING TO GO THROUGH  
15 HUMAN SUBJECTS REVIEW.

16 DR. TAYLOR: GREAT.

17 DR. PRIETO: DO WE WANT TO INCLUDE THE  
18 SENTENCE THAT ALTA ADDED ABOUT ALLOWING ESCRO'S TO  
19 DELEGATE SOME OF THEIR FUNCTIONS TO THE IRB?

20 MS. CHARO: THAT'S REALLY ALREADY THERE.  
21 WHAT HAPPENS IN THE WAY THE GUIDELINES WERE WRITTEN AND  
22 THE WAY THIS IS WRITTEN TOO IS THAT THERE ARE CERTAIN  
23 KINDS OF MINIMUM STANDARDS. THERE ARE NOTIONS ABOUT  
24 WHAT GOES INTO INFORMED CONSENT FOR DONATING BIOLOGICAL  
25 MATERIALS IN THIS CONTEXT THAT ARE LAID OUT IN THE

1 GUIDELINES. AFTER THAT, IT'S UP TO THE IRB TO REVIEW  
2 THE PROCUREMENT PROCESS. IT'S UP TO THE IRB TO REVIEW  
3 THE CONSENT DOCUMENTS. IT'S UP TO THE IRB TO SIGN OFF  
4 THAT IT WAS TRULY VOLUNTARY AND NONPAID. THE ESCRO  
5 DOES NOT DO A DE NOVO REVIEW OF THOSE ASPECTS OF THE  
6 HUMAN SUBJECTS WORK.

7 DR. PRIETO: YOU DON'T FEEL WE NEED TO STATE  
8 THAT EXPLICITLY?

9 MS. CHARO: WELL, IF IT'S CONFUSING, SURE  
10 STATE IT EXPLICITLY. WHY CONFUSE PEOPLE?

11 MR. KLEIN: ALTA, WAS IT ACCEPTABLE TO YOU TO  
12 REMOVE THE WORD "FORMAL"?

13 MS. CHARO: OH, YES, OF COURSE.

14 CO-CHAIR LO: LET ME NOW JUST ASK FOR PUBLIC  
15 COMMENTS. I THINK WE HAD A PUBLIC COMMENT. I THINK WE  
16 HAD A PUBLIC COMMENT FROM EARLIER WE DEFERRED.

17 MR. REED: IT WAS STATED THAT THE IRB MUST  
18 DEFEND THE HUMAN SUBJECT. AND SINCE WE KNOW THAT THERE  
19 IS BOTH A NATIONAL AND A STATE ATTEMPT TO REDEFINE THE  
20 BEGINNING OF LIFE AT CONCEPTION, IT SEEMS TO ME THAT  
21 THIS IS A REAL POISON PILL WE COULD BE TAKING IN TO  
22 CLOSE TO OURSELVES IF WE ALLOW THE IRB. I WOULD  
23 SUGGEST WE CALL IT A CALIFORNIA INTERNAL REVIEW BOARD  
24 AND MAKE OUR OWN DEFINITIONS OF WHAT A HUMAN SUBJECT IS  
25 BECAUSE IF WE ALLOW THE FEDERAL PEOPLE TO MAKE THAT

1 DECISION, THEN WE KNOW WHAT THEY WILL BE. THEY WANT TO  
2 STOP SCNT. I THINK THIS IS REALLY IMPORTANT, THAT THE  
3 IRB EITHER WE DON'T DO IT OR WE DEFINE IT OUR WAY, NOT  
4 THE WAY THE FEDERAL GOVERNMENT WOULD LIKE TO DO.

5 CO-CHAIR LO: SO WE HAVE --

6 MR. PECKMAN: ONE MORE COMMENT, WHICH IS I  
7 SEE WHERE THIS IS GOING, AND I THINK THAT YOU'VE HAD A  
8 VERY STUDIED DISCUSSION ON IT. I'D LIKE TO ENCOURAGE  
9 THE CIRM TO WORK CLOSELY WITH DHS TO TRY TO HARMONIZE  
10 REGULATIONS AND LAW REGARDING RESEARCH WITH HUMAN  
11 EMBRYONIC STEM CELLS. WITHOUT SOME KIND OF  
12 HARMONIZATION, INSTITUTIONS WILL RUN INTO PROBLEMS IN  
13 TERMS OF CARRYING OUT YOUR REQUIREMENTS AND STATE  
14 REQUIREMENTS. I THINK IT'S CRUCIAL FOR YOU TO TAKE THE  
15 LEAD ON THAT.

16 CO-CHAIR LO: AGAIN, THESE ARE JUST INTERIM  
17 GUIDELINES. WE HAVE A COUPLE OF THINGS HERE. WE HAVE  
18 A TABLED MOTION TO DELETE THESE TWO SENTENCES. WE HAVE  
19 SOME OTHER IDEAS FROM ALTA ABOUT ADDING SOME LANGUAGE,  
20 BOTH KEEP THOSE TWO SENTENCES, ADD OR STAFF. ALTA, DID  
21 YOU WANT TO ADD SOMETHING ABOUT DELEGATING -- IT'S  
22 PERMISSIBLE TO DELEGATE HUMAN SUBJECTS TO THE IRB?

23 MS. CHARO: OR SIMPLY TO SAY, IF YOU WANT TO  
24 SAY EXPLICITLY THAT THE ESCRO IS FREE TO DEFER ALL  
25 HUMAN SUBJECTS MATTERS TO THE IRB. WE'LL TAKE UNDER

1       ADVI SEMENT THE SUGGESTION THAT HUMAN SUBJECTS AS A  
2       DEFINITION WE MIGHT WANT TO ADD INTO THE FINAL VERSION  
3       OF THE GUIDELINES.

4               MR. KLEIN:   DIDN'T YOU ALSO SAY -- PER SE THE  
5       INITIATIVE ALREADY CALLS FOR HUMAN SUBJECTS TO BE  
6       REVIEWED BY IRB'S.  THE INITIATIVE ITSELF DOES.

7               MS. CHARO:  THAT'S TRUE.  MAYBE JUST GET IT  
8       OUT.

9               CO-CHAIR LO:  THERE'S A VIRTUE TO KEEPING  
10       THIS SIMPLER.  SO DOES THE TABLED MOTION HAVE  
11       PRECEDENCE?

12              MR. KLEIN:  WELL, I'D BE PREPARED TO WITHDRAW  
13       MY SECOND, I THINK, TO THE TABLED MOTION IN DEFERENCE  
14       TO ALTA'S MOTION.

15              MR. SHEEHY:  I WOULD BE PREPARED TO WITHDRAW  
16       THE TABLED MOTION.

17              CO-CHAIR LO:  THAT'S WITHDRAWN.  NOW WE HAVE  
18       THIS -- BASICALLY WE'RE SAYING WE'RE GOING TO ACCEPT  
19       THE SECTION ON ESCRO'S AND IRB'S WITH THIS ADDITION OF  
20       MORE STAFF, AS ALTA SUGGESTED.  IS THAT THE GIST?  IF  
21       SOMEONE COULD FORMALLY MOVE THAT.

22              MR. KLEIN:  IS THAT A MOTION?

23              MS. CHARO:  I GUESS SO.

24              DR. PRIETO:  QUESTION.  WAS DR. WILLERSON'S  
25       MOTION DEALT WITH, OR DO WE NEED TO VOTE ON THAT?

1 MR. LOMAX: THAT WAS THE MOTION TO SIMPLY  
2 DELETE THE SECTION, AND WE'VE NOW REDRAFTED IT TO MAKE  
3 IT A STATEMENT OF LONG-TERM INTENT.

4 CO-CHAIR LO: THAT WAS THE OTHER SECTION.

5 CO-CHAIR LANSING: WE OVERRULED THAT WITH  
6 WHAT WE VOTED ON.

7 CO-CHAIR LO: THAT WAS FOR THE OTHER SECTION.  
8 THAT WAS FOR BANKING. ALTA HAS MADE A MOTION. SECOND?

9 MR. KLEIN: SECOND.

10 CO-CHAIR LO: ALL THOSE IN FAVOR. OPPOSED?  
11 NONE. OKAY.

12 SO NOW COULD I HAVE AN OMNIBUS MOTION TO  
13 RECOMMEND AS INTERIM GUIDELINES THE TEXT OF WHAT WE'VE  
14 APPROVED?

15 DR. PRIETO: SO MOVED.

16 CO-CHAIR LANSING: SO MOVED.

17 DR. OLDEN: SECOND.

18 CO-CHAIR LO: SECOND DR. OLDEN. ANY  
19 DISCUSSION?

20 MR. KLEIN: I'D JUST LIKE TO SAY THAT THERE  
21 ISN'T ANYTHING THAT'S PERFUNCTORY ABOUT THIS COMMITTEE  
22 OR CIRM. AND THERE IS -- THERE ARE TREMENDOUS QUALITY  
23 TO THE NATIONAL ACADEMIES' CONTRIBUTIONS. AND BOTH  
24 JANET AND ALTA SHOULD BE THANKED AMONG MANY OTHERS FOR  
25 THEIR CONTRIBUTIONS, BUT CERTAINLY WE HAVE A TREMENDOUS

1 QUALITY ON THE STANDARDS COMMITTEE. AND I CAN TELL YOU  
2 FROM THE CAMPAIGN HISTORY, ALL OF THOSE PEOPLE AND  
3 PATIENT GROUPS THAT PARTICIPATED, SPEAKING AS AN  
4 INDIVIDUAL, I GREATLY APPRECIATE THE THOUGHT THAT'S  
5 GOING JUST TO THE INTERIM REGULATIONS, WHICH WILL HAVE  
6 A REAL MODEL STANDARD FOR COUNTRY AND THE STATE, AS  
7 WELL AS WHAT'S CLEARLY GOING TO BE A VERY THOUGHT  
8 PROVOKING AND EXTENSIVE REVIEW FOR THE PERMANENT  
9 REGULATIONS.

10 CO-CHAIR LANSING: DITTO.

11 CO-CHAIR LO: ANN RAISES A QUESTION. I  
12 THOUGHT WE HAD EARLIER SAID THERE WERE NO BURNING  
13 ISSUES TO BE RAISED, AND THE INFERENCE WAS WE WERE  
14 HAPPY WITH WHAT WAS THERE AS INTERIM GUIDELINES. SO --

15 MR. SHESTACK: I THINK YOU BETTER ASK AGAIN.  
16 MAYBE ANN HAS ONE.

17 DR. KIESSLING: WE HAVEN'T DISCUSSED SECTION  
18 10 AT ALL, AND THERE'S ONLY A COUPLE OF LITTLE THINGS  
19 IN THERE THAT ARE GOING TO CAUSE PROBLEMS. THIS IS  
20 PRETTY TINY.

21 MR. KLEIN: DID I INSPIRE THAT, ANN?

22 DR. KIESSLING: SECTION 10(B). YOU WANT TO  
23 ADD TO THAT LANGUAGE ABOUT THE CELL LINES THAT YOU  
24 GRANDFATHERED? OTHERWISE, THERE'S A LOT OF  
25 DOCUMENTATION REQUIRED THERE. SECTION 10(F). THIS HAS



1 TO DO WITH OUR STUDY GROUP IS WHY I'M BRINGING IT UP  
2 NOW. SECTION 10(F), THERE'S SOME AMBIGUITY HERE ABOUT  
3 TRANSPLANTATION, DIFFERENTIATED DERIVATIVES OF HES  
4 CELLS INTO ADULT DOES NOT REQUIRE EXTENSIVE ESCRO  
5 COMMITTEE REVIEW. WHAT DOES THAT MEAN?

6 CO-CHAIR LO: IT'S IN THE NAS REPORT.

7 MS. CHARO: THE LANGUAGE IS JUST AWKWARD  
8 HERE. WHAT WAS GOING ON IS THAT THERE WAS A FEAR THAT  
9 PEOPLE WERE GOING TO READ THESE THINGS AS REQUIRING  
10 IMMENSE AMOUNTS OF EXTRA REVIEW FOR EVERY SINGLE STEM  
11 CELL EXPERIMENT OUT THERE. AND THE IDEA WAS TO NOTE  
12 THAT THE VAST MAJORITY OF PURELY LAB STUDIES THAT DON'T  
13 INVOLVE IDENTIFIABLE TISSUE, THAT DON'T INVOLVE  
14 ANIMALS, AND THEY DON'T INVOLVE RECOMBINANT DNA COULD  
15 ESSENTIALLY BE WAIVED ON THROUGH WITH BASICALLY THE  
16 NOTICE TO THE ESCRO THAT YOU'RE WORKING WITH A LINE AND  
17 THAT YOU ARE WORKING -- AND THEY REQUIRE DOCUMENTATION  
18 THAT THE LINE IS AN ACCEPTABLE LINE FOR YOUR  
19 INSTITUTION. AND THAT SHOULD BE PRETTY MUCH IT. THE  
20 EXPERIMENTS SHOULD BE OTHERWISE UNPROBLEMATIC, AND THAT  
21 MOST OF THE BASIC RESEARCH TODAY STILL FALLS IN THAT  
22 CATEGORY. THAT WAS THE GOAL. THE PHRASING, NOT --

23 MR. SHESTACK: WHICH SUBSECTION, F? THAT  
24 IS -- I HAVE TO SAY THAT IS INCENDIARY PHRASING.

25 MS. CHARO: YES.

1 DR. KIESSLING: SO THAT'S GOT TO GET FIXED.  
2 MR. SHESTACK: THAT'S HEADLINE PHRASING.  
3 CO-CHAIR LO: WE HAVE A SUGGESTION FOR HOW TO  
4 FIX THAT. (B) CAN BE FIXED. I THINK WE CAN DEFER TO  
5 STAFF.  
6 MS. CHARO: SO HERE --  
7 DR. KIESSLING: IT'S EITHER GOT TO REQUIRE  
8 ESCRO COMMITTEE REVIEW OR NOT.  
9 MR. SHESTACK: IF WE DELETED (F).  
10 DR. TAYLOR: DELETE THE FIRST SENTENCE.  
11 MR. KLEIN: WHAT ABOUT POSSIBLY SAYING SHALL  
12 ATTEMPT TO AVOID A REDUNDANT REVIEW OR SOMETHING.  
13 DR. KIESSLING: THESE ARE EXPERIMENTS THAT  
14 JEFF DOES. LET HIM WEIGH INTO IT.  
15 DR. KORDOWER: CAUGHT ME AT A TIRED TIME.  
16 10(F), CORRECT? TRANSPLANTATION OF DIFFERENTIATED  
17 DERIVATIVES OF HUMAN EMBRYONIC STEM CELLS OR HUMAN  
18 EMBRYONIC STEM CELL THEMSELVES INTO ADULT ANIMALS DOES  
19 NOT REQUIRE EXTENSIVE ESCRO COMMITTEE REVIEW. ANY  
20 PROBLEM WITH THAT?  
21 MS. CHARO: DOES NOT WHAT?  
22 DR. KORDOWER: DOES NOT REQUIRE SIGNIFICANT  
23 ESCRO COMMITTEE REVIEW.  
24 (OVERLAPPING DISCUSSION AMONG THE  
25 MEMBERS.)

1 MR. SHESTACK: THAT SOUNDS BAD.

2 DR. KORDOWER: THIS GOT INTO THE QUESTION  
3 ABOUT WHAT A CHIMERA IS. THIS IS GOING TO LAST US A  
4 LONG TIME.

5 DR. KIESSLING: IT'S NOT USED ANYWHERE. THE  
6 TERM IS NOT USED ANYWHERE.

7 DR. KORDOWER: I MEAN I DON'T REALLY  
8 UNDERSTAND THE SECOND PART OF THIS.

9 DR. EGGAN: YOU COULD SAY ROUTINE  
10 TRANSPLANTATION OF DIFFERENTIATED DERIVATIVES.

11 MS. CHARO: IF YOU'RE PUTTING HUMAN HEART  
12 MUSCLE INTO AN ADULT SHEEP HEART, NOBODY WORRIES VERY  
13 MUCH. YOU'RE PUTTING HUMAN BRAIN TISSUE INTO AN ADULT  
14 SHEEP BRAIN, UNLESS YOU EXPECT IT'S GOING TO  
15 DIFFERENTIATE IN AND INTEGRATE IN, YOU'RE NOT GOING TO  
16 WORRY TOO MUCH.

17 DR. KORDOWER: BUT YOU'RE USING WORDS THAT  
18 MAYBE HAVE DIFFERENT MEANINGS FOR DIFFERENT PEOPLE.  
19 IT'S GOING TO INTEGRATE IN AND IT'S GOING TO  
20 DIFFERENTIATE, BUT IT'S NOT GOING TO BECOME A HUMAN OR  
21 A HUMAN CHIMERA IN THE WAY A LOT OF PEOPLE USE THE WORD  
22 "CHIMERA."

23 DR. KIESSLING: ALL WE HAVE TO DECIDE --

24 DR. KORDOWER: JUST DON'T BREED THOSE  
25 ANIMALS.

1 DR. KIESSLING: WE HAVE TO DECIDE ON (F)  
2 WHETHER THIS EXPERIMENT REQUIRES ESCRO COMMITTEE REVIEW  
3 OR WHETHER IT JUST REQUIRES ANIMAL COMMITTEE REVIEW. I  
4 THINK WE DON'T HAVE TO DO ANYTHING BEYOND THAT. BUT TO  
5 LEAVE IT THE WAY IT IS NOW, I DON'T THINK ANYBODY IS  
6 GOING TO KNOW WHAT TO DO.

7 MS. CHARO: THE GUIDELINES CAN SAY DEFINITELY  
8 REQUIRES ESCRO REVIEW. IF THEY WANT THE ESCRO TO LOOK  
9 OVER ANYTHING THAT INVOLVES HUMAN, NONHUMAN  
10 COMBINATIONS, THE GOAL HERE IS TO GIVE GUIDANCE TO THE  
11 ESCRO'S OF EXACTLY HOW HYSTERICAL TO GET. IF YOU WANT  
12 TO DROP THAT OUT AND TRUST THEM TO NOT GET HYSTERICAL,  
13 THEN YOU CAN JUST ELIMINATE.

14 MR. SHESTACK: JEFF SAYS HE DOESN'T MIND  
15 ESCRO REVIEW FOR THIS. IT'S A PRO FORMA ESCRO REVIEW  
16 ANYWAY. WHY ARE YOU GOING TO HAVE THIS CLAUSE IN? IT  
17 JUST WILL BE MISINTERPRETED TO BE LIKE SCIENCE GONE  
18 MAD. SEEMS LIKE IT'S OPEN TO MISINTERPRETATION.

19 DR. KIESSLING: SO SHOULD (F) JUST GO AWAY?  
20 BECAUSE IF (F) GOES AWAY, THEN THE ASSUMPTION IS THAT  
21 EVERYTHING IS GOING TO BE REVIEWED BY AN ESCRO AND  
22 EVERYTHING INVOLVING ANIMALS IS GOING TO BE REVIEWED BY  
23 AN ANIMAL COMMITTEE. AND (F) RAISES ISSUES THAT ARE --

24 DR. KORDOWER: I MOVE TO DELETE (F)  
25 COMPLETELY, AND THEN WE'RE FINE.

1 DR. EGGAN: SECOND.

2 DR. KIESSLING: THIS STANDS NICELY WITHOUT  
3 (F).

4 CO-CHAIR LO: ALTA, CAN YOU GIVE US THE  
5 RATIONALE FOR THE NAS INCLUDING THIS? I THINK THE  
6 FIRST SENTENCE IN (F) WAS MEANT TO ALLOW FOR WHAT WOULD  
7 BE KNOWN IN THE IRB WORLD AS EXPEDITED REVIEW. SO THAT  
8 IT DOESN'T REQUIRE THE SAME LEVEL OF DEPTH AND TIME OF  
9 REVIEW, BUT IT DOES REQUIRE AN ESCRO SO LOOK AT IT.

10 THE SECOND SENTENCE, MY READING WAS, TO SAY  
11 THAT THERE'S CERTAIN TYPES OF RESEARCH WHERE YOU'VE GOT  
12 TO BE VERY CAREFUL ABOUT IT. AND WE WANT YOU TO REALLY  
13 MAKE SURE THAT THE EVIDENCE IS PERSUASIVE.

14 DR. KORDOWER: THAT'S AN IACUC ISSUE. IACUC  
15 SHOULD NOT ALLOW ANY SCIENCE TO GO FORWARD THAT DOESN'T  
16 HAVE A STRONG RATIONALE.

17 MS. CHARO: IF YOU LOOK AT (E) ABOVE, (E)  
18 SAYS ANY TIME YOU TAKE HUMAN ES CELLS AND COMBINE IT  
19 WITH A NONHUMAN ANIMAL AT ANY STAGE OF DEVELOPMENT, IT  
20 HAS TO GO TO THE ESCRO. THAT'S WHAT IT SAYS.

21 SO (F), YOU'RE RIGHT, YOU COULD DROP (F) OUT  
22 AND JUST TRUST THAT THE ESCRO'S ARE NOW GOING TO BE  
23 LOOKING AT THESE AND MAKE A SENSIBLE DISTINCTION  
24 BETWEEN HUMAN, NONHUMAN COMBINATIONS THAT POSE REAL  
25 DILEMMAS, LIKE WHERE THEY MIGHT RESULT IN ENOUGH MERGED

1 NEUROLOGICAL TISSUE THAT WE DON'T REALLY UNDERSTAND THE  
2 NATURE OF CONSCIOUSNESS VERSUS THOSE THAT ARE REALLY  
3 NOT PROBLEMATIC, SUCH AS TAKING HUMAN TISSUE AND  
4 PUTTING IT INTO A NONHUMAN ANIMAL IN ITS KIDNEY OR ITS  
5 LIVER WHERE IT'S REALLY JUST NOT --

6 DR. KORDOWER: OR ITS BRAIN.

7 MS. CHARO: THE POINT HAD BEEN THAT WE FELT  
8 LIKE THERE WAS ENOUGH UNCERTAINTY IN THE AREA OF  
9 NEUROLOGY ABOUT WHERE -- THERE'S GOING TO BE A LOT OF  
10 STUFF THAT'S TOTALLY UNPROBLEMATIC. THERE'S A  
11 SPECTRUM, AND WHERE THAT GRAY AREA BEGINS AND ENDS IS  
12 STILL BEING DISCUSSED, AND THAT WAS WHY THE NOTION WAS  
13 TO SIGNAL TO THE ESCRO'S TO TAKE A CLOSER LOOK AT  
14 THOSE. THAT'S ALL. I DON'T KNOW HOW TO CAPTURE IT IN  
15 LANGUAGE.

16 DR. KORDOWER: (E) TAKES CARE OF THE ESCRO  
17 ISSUE.

18 MS. CHARO: (E) TAKES CARE OF THE FORMAL  
19 PROCESS, YOU HAVE TO SUBMIT IT.

20 DR. HALL: I THOUGHT THE FUNNY THING WAS THAT  
21 ALMOST AS (F) IS A WAY OF EXPLAINING WHY ADULT ANIMALS  
22 IS IN (F).

23 MR. SHESTACK: (E), (F), AND (G) SEEM REALLY  
24 SIMILAR TO ME.

25 DR. HALL: OKAY. SO WE JUST SAID YOU HAVE TO

1 LOOK AT ADULT ANIMALS, BUT ACTUALLY YOU DON'T HAVE TO  
2 LOOK AT THEM VERY SERIOUSLY UNLESS -- THERE'S THIS ONE  
3 CASE, AND THAT'S WHY WE REALLY ARE CONCERNED ABOUT IT.  
4 IT'S SORT OF LIKE AN EXPLANATION OF INCLUDING ADULTS IN  
5 (E) IS MY THINKING ABOUT IT NOW.

6 DR. KIESSLING: BUT THEY'RE GOING TO LOOK AT  
7 THAT MORE SERIOUSLY.

8 MR. KLEIN: IN THE FIRST SENTENCE, COULD WE  
9 USE BERNIE'S LANGUAGE AND SAY ADULT ANIMALS SHOULD BE  
10 GIVEN AN EXPEDITED ESCRO COMMITTEE REVIEW?

11 DR. PRIETO: MAY BE GIVEN AN EXPEDITED  
12 REVIEW.

13 DR. HALL: I THINK THAT JUST DELETING IT IS  
14 FINE.

15 MS. CHARO: LET THE ESCRO'S HANDLE IT.

16 DR. KIESSLING: DELETE (F). I THINK IF WE  
17 DELETE (F), YOU'VE DELETED A LOT OF CONFUSION.

18 DR. HALL: IT DOES HAVE A CONFUSING MESSAGE.

19 MR. SHESTACK: YOU'RE DELETING CONFUSION.

20 CO-CHAIR LO: FORMAL MOTION.

21 DR. EGGAN: IT'S MOVED AND SECONDED ALREADY.

22 DR. KIESSLING: DO YOU AGREE WITH THAT, JEFF?

23 DR. KORDOWER: YEAH. I AGREE WITH THAT.

24 CO-CHAIR LO: DO WE HAVE A FORMAL MOTION?

25 DR. KIESSLING: I MOVE THAT WE DELETE SECTION

1 10, PARAGRAPH F.

2 DR. KORDOWER: SECOND.

3 CO-CHAIR LO: ANY PUBLIC COMMENT? ALL THOSE  
4 IN FAVOR OF DELETING (F). ALL OPPOSED? NONE.

5 SO NOW I'D LIKE TO GO BACK TO THIS SORT OF  
6 OMNIBUS MOTION TO RECOMMEND THE INTERIM GUIDELINES TO  
7 CIRM FOR CONSIDERATION. BOB HAS GRACIOUSLY MADE SUCH A  
8 MOTION.

9 DR. OLDEN: SECOND.

10 CO-CHAIR LO: ANY PUBLIC DISCUSSION OF THAT?  
11 ALL THOSE IN FAVOR. OPPOSED? UNANIMOUS. THANK YOU.

12 (APPLAUSE.)

13 CO-CHAIR LO: I WOULD ALSO LIKE TO PUT IN A  
14 WORD OF APPRECIATION TO THE STAFF HERE AT CIRM. I  
15 THINK WE MAY NOT APPRECIATE -- WE JUST SORT OF SAID  
16 TRANSLATE THIS INTO REGULATORY-ESE. I THINK ZACH AND  
17 GEOFF AND JAMES AND KATE HAVE REALLY DONE A LOT OF THE  
18 BEHIND-THE-SCENES WORK IN MAKING THIS HAPPEN. I JUST  
19 WANT TO SORT OF, FIRST OF ALL, THANK THEM AND TO SAY WE  
20 WILL BE RELYING ON YOU EVEN MORE AS WE START TO GET  
21 INTO THE SUBSTANTIVE ISSUES WITH THE DRAFT FINAL  
22 DOCUMENT.

23 DR. HALL: LET ME SAY I HAD VERY LITTLE TO DO  
24 THIS. IT WAS JAMES, GEOFF, AND KATE. I ALSO WANT TO  
25 SAY THAT -- SOMEBODY MENTIONED THE TRANSCRIPT. THERE'S



1 A BEAUTIFUL TRANSCRIPT OF THE LAST MEETING, FOR THOSE  
2 OF YOU WHO HAVE NOTICED, AND THAT WAS AN INCREDIBLE JOB  
3 THAT KATE SHREVE DID. I JUST WANT TO NOTE THAT AS  
4 WELL.

5 CO-CHAIR LO: I NOW HAVE 5:30, AND WE HAVE  
6 SOME UNFINISHED BUSINESS IN TERMS OF SEVERAL OF THE  
7 WORKING GROUPS HAVE NOT SORT OF MADE ANY FORMAL REPORT.  
8 AND I'M JUST WONDERING IF WE COULD JUST HAVE AN  
9 INFORMATIONAL QUICK GO-ROUND FOR THE OTHER WORKING  
10 GROUPS. WE HEARD VERY NICELY ABOUT REGISTRIES AND  
11 BANKING. I THINK THAT WAS EXTREMELY HELPFUL. I WOULD  
12 JUST LIKE TO ASK THE OTHER THREE WORKING GROUPS:  
13 INTERSTATE AND INTERNATIONAL COLLABORATION, PRECLINICAL  
14 RESEARCH STANDARDS, AND DONOR RECRUITMENT, JUST TO  
15 HIGHLIGHT THE ISSUES THAT THEY'RE GOING TO BE  
16 ADDRESSING AS WE START TO -- THE SUBSTANTIVE ISSUES  
17 THEY'RE GOING TO BE ADDRESSING AS WE SORT OF TRY TO  
18 FORMULATE THESE DRAFT FINAL GUIDELINES.

19 SO FOR THE INTERSTATE INTERNATIONAL  
20 COLLABORATION, THERE ARE SOME REALLY TOUGH ISSUES THAT  
21 THAT GROUP IS GOING TO HAVE TO DEAL WITH. CAN I ASK  
22 THAT GROUP TO JUST QUICKLY HIGHLIGHT FOR US THE ISSUES  
23 AND THE CONSIDERATIONS THAT YOU'RE DEALING WITH.

24 MS. CHARO: BASICALLY THE QUESTION IS HOW TO  
25 FACILITATE COLLABORATION. SO QUESTION: IF SOMEBODY

1 WANTS TO BE WORKING WITH MATERIALS THAT COME FROM  
2 OUTSIDE CALIFORNIA, OUTSIDE OF THE CIRM-DERIVED  
3 PROCESS, ARE THERE GOING TO BE RESTRICTIONS ON WHAT YOU  
4 CAN WORK WITH IN TERMS OF MATERIALS? AND IF SO, THE  
5 QUESTION IS GOING TO BE WHAT ARE THE MINIMUM ETHICAL  
6 STANDARDS WE WANT TO APPLY? SO THIS IS BACK TO THE  
7 EARLIER CONVERSATION ABOUT EQUIVALENCE, AND THE  
8 EQUIVALENCE HAS TWO PARTS.

9 ONE IS THE SUBSTANTIVE RULES. FOR EXAMPLE,  
10 WE MIGHT SAY THE MINIMUMS ARE GOING TO BE INFORMED  
11 CONSENT, BUT INFORMED CONCEPT FROM WHOM? THAT GETS YOU  
12 INTO YOUR LITTLE ANONYMOUS DONOR DO LOOP; NO  
13 COMPENSATION, AND THAT GETS YOU INTO WHETHER OR NOT OUR  
14 VERY STRICT RULES ABOUT NO COMPENSATION, WHICH INCLUDES  
15 NO LOST OPPORTUNITY COST REIMBURSEMENTS HAS TO BE  
16 MIMICKED IN THE OTHER JURISDICTION FOR YOU TO BE  
17 ALLOWED TO USE THEIR LINES. SO A SUBSTANTIVE QUESTION.

18 AND THEN A PROCEDURAL QUESTION, WHICH IS WHO,  
19 EVEN ASSUMING WE'RE THE ONES WHO DECIDE TO SAY WHAT  
20 THAT MINIMUM IS GOING TO BE, WHO THEN MEASURES OTHER  
21 INSTITUTIONS AND OTHER STATE OR NATIONAL LAWS TO  
22 DETERMINE WHETHER OR NOT THEY DO OR DO NOT MEET OUR  
23 MINIMUM STANDARDS?

24 AND WE HAVE A PROPOSAL OUTLINED ON THE VERY  
25 LAST PAGE OF THE BOOK FOR YOUR REVIEW. AND THAT IS

1 REALLY THE FOCUS ON THE REQUIREMENT THAT CIRM-FUNDED  
2 RESEARCHERS ALWAYS BE WORKING WITH MATERIALS THAT WE  
3 CALL ETHICALLY DERIVED. THAT WE HAVE A BEGINNING OF A  
4 DEFINITION OF ETHICALLY DERIVED, WHICH HAS TO DO WITH  
5 MEETING CIRM STANDARDS, MEETING STANDARDS FROM NIH, OR  
6 DERIVED IN ACCORDANCE WITH THE POLICIES OF -- AND WE'RE  
7 GOING TO BEGIN LISTING PLACES, LIKE WE DID TODAY WITH  
8 THE UK STEM CELL BANK.

9 AND FINALLY, ANYTHING THAT WAS DERIVED IN  
10 ACCORDANCE, AND THIS IS THE REAL RECOMMENDATION FOR  
11 DISCUSSION, ANYTHING DERIVED IN ACCORDANCE WITH THE  
12 EXTANT LAWS AND ETHICAL NORMS OF AN AREA BE PRESUMED TO  
13 BE ETHICALLY DERIVED UNLESS THE ESCRO HAS SOME REASON  
14 FOR DOUBTING THAT.

15 IN OTHER WORDS, WE WANTED TO GIVE THE BENEFIT  
16 OF DOUBT TO LINES THAT WERE DERIVED IN ACCORDANCE WITH  
17 LOCAL LAW AND REGULATION IN OTHER PLACES. INSTEAD OF  
18 ASSUMING THAT THE WHOLE WORLD IS UNETHICAL, WE WANTED  
19 TO ASSUME THAT THEY ARE UNLESS WE WANT TO STOP AND TAKE  
20 A CLOSER LOOK AND SAY THIS ONE MAY BE TOO DIFFERENT  
21 FROM US. SO THERE'S A QUESTION HERE ABOUT PRESUMPTIONS  
22 AND THE USE OF PRESUMPTIONS TO TRY AND BOTH PAY RESPECT  
23 TO OTHER PARTS OF THE WORLD AND ALSO MAKE THE WORK A  
24 LITTLE BIT EASIER.

25 THE SECOND QUESTION IS GOING TO BE A LITTLE

1 LESS IMPORTANT, BUT IT'S ONE WE WANT TO FOCUS ON JUST  
2 BRIEFLY; AND THAT IS, WHEN YOU'VE GOT CIRM-FUNDED  
3 RESEARCHERS WHO ARE NOT ACTUALLY WORKING WITH  
4 MATERIALS, THEY ARE DOING THE ANCILLARY WORK, THEY'RE  
5 DOING THE STATISTICAL ANALYSIS, FOR EXAMPLE, FOR OTHER  
6 PEOPLE IN OTHER PLACES, TO WHAT EXTENT DO WE WANT TO  
7 LIMIT THEIR ABILITY TO DO THESE COLLABORATIONS BASED ON  
8 OUR VIEW THAT THE OTHER PARTNERS ARE NOT MEETING ONE OF  
9 OUR MINIMUM STANDARDS, OR DO WE WANT TO LEAVE THEM  
10 TOTALLY FREE TO COLLABORATE AS CIRM-FUNDED RESEARCHERS  
11 PROVIDED THAT THE MATERIALS THAT THEY WORK WITH WERE  
12 ALL COLLECTED AND MANAGED IN ACCORDANCE WITH OUR RULES.

13 CO-CHAIR LO: THANK YOU. PRECLINICAL  
14 RESEARCH STANDARDS WORKING GROUP, A BRIEF REPORT.

15 DR. KIESSLING: THAT WAS MYSELF AND JEFF  
16 KORDOWER AND TED PETERS. WE HAD A COUPLE OF CONFERENCE  
17 CALLS ON THIS. AT FIRST WE THOUGHT -- WE WEREN'T TOO  
18 SURE EXACTLY WHAT OUR CHARGE WAS, BUT AS YOU CAN SEE ON  
19 THE REPORT THAT'S SUBMITTED, THERE'S A LOT THAT'S GOING  
20 TO HAVE TO BE DISCUSSED HERE. ALL WE SIMPLY DID WAS  
21 SORT OF OUTLINE WHAT WE THOUGHT OUR CHARGE WAS, WHAT  
22 THE PROBLEMS ARE GOING TO BE WITH THE SOURCES OF THE  
23 STEM CELLS, AND WE INCLUDED ADULT TISSUES, FETAL  
24 TISSUES, CORD BLOOD, AND PLACENTA AS WELL. MANY OF  
25 THESE HAVE GUIDELINES THAT ARE ALREADY COVERED. I

1 DON'T KNOW HOW MUCH DEBATE THIS COMMITTEE IS GOING TO  
2 HAVE.

3           WHAT I WOULD HOPE EVERYBODY WOULD DO BETWEEN  
4 NOW AND THE NEXT TIME WE MEET OR WHENEVER WE CONSIDER  
5 THIS AGAIN IS TO GO OVER EACH -- IN OUR OUTLINE WE  
6 LISTED WHAT WE THOUGHT THE CONSIDERATIONS WERE GOING TO  
7 BE FOR EACH OF THESE SOURCES. I DON'T KNOW HOW  
8 COMPREHENSIVE THIS IS. SO THAT WOULD BE VERY USEFUL IF  
9 THIS COMMITTEE WOULD LOOK AT EACH OF THOSE  
10 CONSIDERATIONS.

11           I THINK THE TOUGH ISSUES HERE HAVE TO DO WITH  
12 EGGS FERTILIZED FOR STEM CELL DERIVATION. THIS IS NOT  
13 ALLOWED IN CANADA. IT IS ALLOWED SO FAR IN CIRM.  
14 THERE MAY BE SOME GUIDELINES AROUND THIS. THERE MAY BE  
15 HAVE TO BE SOME JUSTIFICATION FOR CREATING EMBRYOS FOR  
16 RESEARCH PURPOSES BECAUSE THAT'S PRETTY CONTROVERSIAL.

17           AND I THINK OTHER AREA THAT'S  
18 CONTROVERSIAL -- WELL, WE KNOW THAT SOMATIC CELL  
19 NUCLEAR TRANSFER IS CONTROVERSIAL. AND ANOTHER AREA  
20 THAT'S CONTROVERSIAL HAS TO DO WITH INTRODUCING  
21 EMBRYONIC STEM CELLS INTO EMBRYOS OF OTHER ANIMALS.  
22 THERE MAY BE TIMES WHEN THOSE ARE REALLY IMPORTANT  
23 EXPERIMENTS TO DO, AND WE MAY HAVE TO SPEND SOME TIME  
24 TALKING ABOUT THOSE GUIDELINES.

25           I DON'T THINK -- WE NEED SOME BACKGROUND

1 INFORMATION ABOUT OTHER LAWS. FOUR STATES HAVE ADOPTED  
2 LAWS ABOUT THIS, NOT VERY MANY OTHER STATES HAVE. AND  
3 I THINK THAT'S IT. I THINK THAT'S WHERE OUR WORKING  
4 GROUP IS. IF THIS GROUP WOULD LOOK OVER THOSE THREE  
5 PAGES THAT WE GENERATED, PARTICULARLY WITH THE  
6 CONSIDERATIONS, SO THAT WHEN THIS COMES UP FOR OVERALL  
7 DISCUSSION, WE'VE ALL HAD A HEADS-UP ON THAT. I THINK  
8 THAT WOULD BE A BIG HELP.

9 CO-CHAIR LO: THANK YOU. AND THEN THE FINAL  
10 WORKING GROUP WAS ON DONOR RECRUITMENT PROTECTION.  
11 ACTUALLY SOME OF OUR DELIBERATIONS OVERLAP WITH ANN'S  
12 COMMITTEE, SO WE'RE GOING TO HAVE TO SORT OF WORK OUT  
13 AND SORT OF PROBABLY TURN OVER TO YOUR GROUP A LOT OF  
14 THOSE CONSIDERATIONS.

15 THERE ARE A COUPLE OF ISSUES THAT WE WANT TO  
16 RAISE. ONE, AGAIN, WITH RECRUITMENT, I KNOW THIS  
17 OVERLAPS A LOT WITH THE CONSENT PROCESS, IS TO LOOK  
18 SEPARATELY AT TWO GROUPS OF WOMEN WHO MIGHT DONATE  
19 OOCYTES FOR DERIVATION OF NEW STEM CELLS. ONE ARE  
20 WOMEN WHO ARE ALREADY UNDERGOING OOCYTE RETRIEVAL FOR  
21 INFERTILITY TREATMENT, AND COULD OOCYTES THAT WOULD NOT  
22 BE USED FOR THE FERTILITY TREATMENT BE DONATED TO  
23 RESEARCHERS. THERE ARE A NUMBER OF CONSIDERATIONS  
24 THERE ABOUT HOW EITHER THE WOMAN IN THE INFERTILITY  
25 TREATMENT BECAUSE SHE'S THE ONE UNDERGOING THE OOCYTE

1 RETRIEVAL, OR IF SHE IS RECEIVING OOCYTES FROM A DONOR,  
2 OOCYTE DONOR, THE CONSIDERATIONS FOR THAT HAVE TO GO  
3 INTO MAKING SURE SHE UNDERSTANDS THE POSSIBLE SETBACKS  
4 TO HER REPRODUCTIVE GOALS.

5 SECOND ISSUE REALLY HAD TO DO WITH  
6 COMPENSATION FOR INJURIES THAT WERE A DIRECT RESULT OF  
7 PARTICIPATING IN OOCYTE RETRIEVAL FOR RESEARCH  
8 PURPOSES. WE WANTED TO SEPARATE OUT THE LONG-TERM  
9 POSSIBLE ADVERSE EFFECTS, WHICH ARE NOT VERY WELL  
10 CHARACTERIZED AND A VERY LONG TAIL, FROM THE VERY  
11 IMMEDIATE SHORT-TERM ADVERSE CONSEQUENCES WHICH WOULD  
12 BASICALLY INCLUDE HYPEROVULATION SYNDROME.

13 AND AS YOU KNOW, CURRENTLY THE FEDERAL  
14 GUIDELINES ARE THAT YOU HAVE BEEN TOLD IN THE INFORMED  
15 CONSENT PROCESS WHAT THE COMPENSATION WOULD BE. YOU'RE  
16 USUALLY TOLD THAT THERE IS NONE. AND I THINK THE ISSUE  
17 IS IF WE'RE NOT COMPENSATING WOMEN WHO ARE DONATING  
18 OOCYTES SPECIFICALLY FOR RESEARCH FOR ANYTHING OTHER  
19 THAN THEIR OUT-OF-POCKET EXPENSES, SHOULD THEY BEAR,  
20 THEY OR THEIR INSURERS BEAR THE COST OF TREATMENT FOR  
21 COMPENSATION FOR RESEARCH-RELATED INJURIES. SO THAT'S  
22 A BIGGER ISSUE, BUT IT'S SOMETHING WE WANTED TO  
23 HIGHLIGHT AS BEING A POTENTIAL INEQUITY.

24 I THINK THE OTHER THING WE NEED TO DO, AND  
25 THE CHAIRS AND THE STAFF WILL WORK ON THIS, IS TO

1       SOMEHOW WORK IN THE POINTS THAT KEN OLDEN MADE ABOUT  
2       DIVERSITY OF DONORS AND ACCESS TO TREATMENT AND HOW  
3       THAT'S GOING TO BE MAYBE ASSIGNED TO ONE OF OUR WORKING  
4       GROUPS.

5                        SO I THINK THAT -- LET ME JUST NOW SHIFT  
6       GEARS AND SORT OF ORIENT US TOWARDS THE FUTURE. IF YOU  
7       LOOK AT THAT VERY WONDERFUL COLOR CHART THAT STAFF MADE  
8       UP FOR US, YOU NOTED THAT THE BIG TAKE IS THE MIDDLE OF  
9       NOVEMBER WHEN THE APA RULEMAKING PROCESS STARTS. AND  
10      THAT BEFORE THEN, WE NEED TO PRESENT -- WE WOULD LIKE  
11      TO PRESENT TO THE ICOC OUR RECOMMENDATIONS FOR FINAL  
12      GUIDELINES. THAT MEANS BEFORE THEN, AFTER THESE PUBLIC  
13      INPUT MEETINGS THAT WE'RE GOING TO HAVE, WE'LL PROBABLY  
14      NEED TO HAVE, THE PROPOSAL IS, TWO MEETINGS, ONE AT THE  
15      END OF SEPTEMBER AND ONE TOWARDS THE END OF OCTOBER.  
16      THEY MAY WELL NEED TO BE TWO-DAY MEETINGS TO SORT OF  
17      MAKE SURE WE GET THIS JOB DONE WITH APPROPRIATE  
18      DELIBERATIONS SO THAT AS WE LOOK AT OUR TIME LINE IN  
19      TERMS OF THE DELIVERABLE BY NOVEMBER 2D, THERE'S GOING  
20      TO BE A LOT OF SUBCOMMITTEE WORK THAT NEEDS TO BE DONE,  
21      AND THEN SOME DELIBERATION AS THE COMMITTEE AS A WHOLE.

22                        SO I GUESS, FIRST, I'D JUST SORT OF LIKE TO  
23      ALERT YOU TO WHAT WE'LL BE ASKING IN TERMS OF YOUR  
24      SCHEDULES. I KNOW SEPTEMBER AND OCTOBER ARE BUSY FOR  
25      ALL OF US. AND JUST SORT OF SAY THAT IT MAY WELL BE



1 NECESSARY TO SCHEDULE MORE THAN THE ONE-DAY MEETINGS  
2 THAT WE'VE HAD SO FAR, WHICH I THINK WERE FINE FOR  
3 GETTING THESE INTERIM GUIDELINES OUT, BUT THE FINAL  
4 GUIDELINES ARE GOING TO BE -- THAT'S WHERE THE REAL  
5 SUBSTANTIVE TOUGH ISSUES ARE GOING TO BE. NO ONE IS  
6 SMILING ABOUT THE EXTRA DAYS.

7 CO-CHAIR LANSING: WHEN YOU LOOK AT THE  
8 INCREDIBLY INTENSE AND HARD WORK THAT WENT INTO TODAY,  
9 AND YOU'RE TALKING ABOUT FINAL GUIDELINES THAT HAVE TO  
10 BE DONE BY NOVEMBER, NOT FINAL GUIDELINES, BUT  
11 RECOMMENDED GUIDELINES BY NOVEMBER 2D, YOU'RE TALKING  
12 ABOUT EIGHT WEEKS.

13 MR. SHESTACK: WHAT'S THE BLACK MAGIC ABOUT  
14 NOVEMBER 2D?

15 CO-CHAIR LANSING: WE HAVE -- BOB CAN EXPLAIN  
16 IT BETTER THAN I CAN, BUT WE HAVE TO GET OUR GUIDELINES  
17 DONE WITHIN 270 DAYS, AND WE HAVE TO ALLOW 45 DAYS FOR  
18 PUBLIC COMMENT, AND THAT'S WHEN IT ALL STARTS.

19 MR. KLEIN: NOVEMBER 2D DOES NOT MEAN THAT  
20 THOSE ARE FINAL. IT'S THE FINAL RECOMMENDATION, AND  
21 THEN YOU GO THROUGH THE PUBLIC -- THE ADMINISTRATIVE  
22 PROCEDURES ACT PROCESS WITH THE PUBLIC COMMENT PERIOD.

23 IF YOU LOOK AT THE CHART, AND THE OAL REVIEW  
24 PERIODS, AND THEN 30 DAYS FOR IT TO BECOME LAW. SO  
25 THERE WILL BE PUBLIC COMMENTS THAT MAY BE VERY MATERIAL

1 AND COME FROM SCIENTIFIC AND PATIENT GROUPS AND OTHERS  
2 AND THE GENERAL PUBLIC THAT WILL BE BROUGHT INTO THAT  
3 PROCESS DURING THE PUBLIC COMMENT PERIOD. SO IN NO WAY  
4 WILL THEY BE FINAL ON NOVEMBER 2D, BUT THEY WILL BE  
5 FINAL RECOMMENDATIONS SO THEY CAN GO THROUGH THE REST  
6 OF THE PROCESS.

7 DR. EGGAN: DO WE EXPECT THEN NEW GREEN DOTS  
8 ARE GOING TO APPEAR AT THE TAIL ENDS OF EACH ONE OF  
9 THOSE YELLOW BOXES TOO PROBABLY?

10 DR. HALL: YES.

11 CO-CHAIR LO: OUR JOB IS NOT DONE.

12 DR. EGGAN: THOSE ARE TIMES TO HOLD SPACE OUT  
13 ON OUR CALENDAR. THOSE ARE LIKELY BENCHMARKS.

14 CO-CHAIR LO: ANY OTHER QUESTIONS ABOUT --

15 MS. CHARO: ONCE THIS NOVEMBER 2D DRAFT IS  
16 DELIVERED FOR PUBLIC COMMENT, HOW MUCH ALTERATION OF  
17 THAT IS PERMITTED WHILE THE PUBLIC COMMENT IS GOING ON?  
18 IN OTHER WORDS, CAN WE BE WORKING IN PARALLEL WITH THE  
19 PUBLIC COMMENT TO CONTINUE TO REFINE THIS, OR ARE WE  
20 SOMEHOW RATHER STUCK AS OF THE NOVEMBER 2D VERSION,  
21 JUST TO GIVE US A SENSE OF EXACTLY HOW MUCH OF A TRUE  
22 DROP-DEAD DEADLINE NOVEMBER 2D IS.

23 MR. HARRISON: THE SHORT ANSWER IS THAT IF  
24 YOU MAKE TECHNICAL CHANGES, IT DOES NOT INTERFERE WITH  
25 THE APA CLOCK. IF YOU MAKE SUBSTANTIVE CHANGES, WHAT

1 THAT TRIGGERS IS AN ADDITIONAL 45-DAY PUBLIC COMMENT  
2 PERIOD. AND CONSISTENT WITH THAT 270-DAY PERIOD WE  
3 HAVE TO ADOPT FINAL REGULATIONS, SUBSTANTIVE CHANGES  
4 MAY PRESENT PROBLEMS IN MEETING THE ULTIMATE GOAL OF  
5 HAVING FINAL REGULATIONS IN PLACE BY THE FIRST WEEK OF  
6 JUNE.

7 MR. KLEIN: JAMES, LET ME ASK THIS QUESTION.  
8 DOES IT RESTART THE CLOCK FOR ALL THE ENTIRE TEXT OR  
9 JUST THE PORTION CHANGED?

10 MR. HARRISON: WELL, THEY'RE SUBMITTED AS A  
11 PACKAGE. I GUESS CONCEIVABLY IT'S POSSIBLE THAT A  
12 PARTICULAR SECTION OF THESE REGULATIONS COULD BE CARVED  
13 OUT, BUT --

14 MR. KLEIN: MY QUESTION TO YOU IS CAN WE, IN  
15 FACT, SUBMIT IT BY SECTION ALL AT ONCE UNDER VARIOUS  
16 SECTION NUMBERS; THEREFORE, IF WE MODIFY A SECTION,  
17 THAT SECTION CLOCK RESTARTS, BUT NOT THE CLOCK ON THE  
18 BALANCE?

19 MR. HARRISON: WE CAN WORK THROUGH THE OFFICE  
20 OF ADMINISTRATIVE LAW TO DETERMINE WHETHER WE CAN  
21 PRESENT THEM IN THAT MANNER.

22 MR. KLEIN: A MODULAR APPROACH COULD BE  
23 HELPFUL HERE BECAUSE THEN POTENTIALLY IF WE FIND A  
24 MAJOR SUBSTANTIVE ISSUE THAT COMES UP WITH ONE SECTION,  
25 WE COULD TAKE TIME TO DEAL WITH THAT SECTION WHILE

1 EVERYTHING ELSE MOVES FORWARD, AND SUBSTANTIVELY WE  
2 WILL HAVE PERFORMED WITHIN 270 DAYS, AND WE'LL HAVE A  
3 TRAILING SECTION. IF WE COULD INVESTIGATE THAT.

4 MR. HARRISON: WE'LL INVESTIGATE THAT.

5 CO-CHAIR LO: ANY OTHER QUESTIONS ABOUT THE  
6 PROCEDURES? HEARING NONE, I WILL BE DELIGHTED TO  
7 ENTERTAIN A MOTION FOR ADJOURNMENT.

8 DR. KORDOWER: SECOND. THANK YOU VERY MUCH.

9 MR. KLEIN: MR. CHAIRMAN, I'D ALSO LIKE TO,  
10 IN ADDITION TO THE STAFF WHO HAS BEEN THANKED FOR THEIR  
11 TREMENDOUS EFFORT, JAMES HARRISON DID A TREMENDOUS  
12 AMOUNT OF WORK ON THE BYLAWS.

13 (APPLAUSE.)

14 (THE MEETING WAS THEN CONCLUDED AT 05:46  
15 P. M.)

16

17

18

19

20

21

22

23

24

25

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE SCIENTIFIC AND MEDICAL ACCOUNTABILITY STANDARDS WORKING GROUP OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATON INDICATED BELOW

OMNI SHOREHAM HOTEL  
251 S. OLIVE STREET  
LOS ANGELES, CALIFORNIA  
ON  
AUGUST 30, 2005

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

---

BETH C. DRAIN, CSR 7152