

BEFORE THE  
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE  
TO THE CALIFORNIA INSTITUTE FOR REGENERATIVE  
MEDICINE  
ORGANIZED PURSUANT TO THE  
CALIFORNIA STEM CELL RESEARCH AND CURES ACT  
REGULAR MEETING

LOCATION:                    DOUBLETREE HOTEL  
                                 MONROVIA-PASADENA  
                                 924 WEST HUNTINGTON DRIVE  
  
                                 MONROVIA, CALIFORNIA

DATE:                      WEDNESDAY, APRIL 28, 2010  
                                 4:30 P.M.

REPORTER:                BETH C. DRAIN, CSR  
                                 CSR. NO. 7152

BRS FILE NO. :            85107

## BARRISTERS' REPORTING SERVICE

### I N D E X

ITEM	PAGE NO.
1. CALL TO ORDER.	4, 120
2. PLEDGE OF ALLEGIANCE.	6, 120
3. ROLL CALL.	6, 120
REPORTS	
4. CHAIRMAN' S REPORT	32
5. PRESIDENT' S REPORT	8
BUDGET ALLOCATION AND EXPENDITURE REPORT	30
OVERALL FINANCIAL STATUS	33
CONSENT CALENDAR	
6. CONSIDERATION OF MINUTES FROM PREVIOUS MEETINGS OF GOVERNING BOARD.	45
ACTION ITEMS	
7. CONSIDERATION OF REPORT FROM LEGISLATIVE SUBCOMMITTEE ON SB 1064 (ALQUIST) AND RECOMMENDATIONS REGARDING AB 1931 (TORRICO), EXTENSION OF ROMAN REED SPINAL CORD INJURY RESEARCH ACT OF 1999; AND AB 1733 (HILL) – DIRECTOR OF CALIFORNIA BIOTECHNOLOGY RETENTION AND RECRUITMENT.	214
8. CONSIDERATION OF AMENDMENTS TO CONTRACT POLICY.	201
9. CONSIDERATION OF PREGNANCY HEALTH LEAVE POLICY.	46
10. CONSIDERATION OF RECOMMENDATIONS FROM GRANTS WORKING GROUP REGARDING CIRM RESEARCH LEADERSHIP AWARDS.	51, 192

## **BARRISTERS' REPORTING SERVICE**

11. CONSIDERATION OF RECOMMENDATIONS FROM GRANTS WORKING GROUP REGARDING CIRM BASIC BIOLOGY AWARDS II.	61, 124
EXTRAORDINARY PETITION 1567	149
EXTRAORDINARY PETITION 1523	85
12. CONSIDERATION OF CONTRACT FOR LEGAL SERVICES WITH REMCHO, JOHANSEN & PURCELL.	212
14. PUBLIC COMMENT	237

## BARRISTERS' REPORTING SERVICE

1 MONROVIA, CALIFORNIA; WEDNESDAY, APRIL 28, 2010

2

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

CHAIRMAN KLEIN: I THINK WE'RE GOING TO CONVENE HERE. ALL RIGHT. IF WE COULD BRING THE MEETING TO ORDER. I WANT IT TO BE KNOWN FROM THIS DAY GOING FORWARD THAT THIS BOARD WILL GO TO MONROVIA TO GET ITS WORK DONE.

WE'RE VERY PLEASED TO BE HERE. WE ARE LOOKING FORWARD TO BEING AT THE CITY OF HOPE TOMORROW. WE HAVE A NEW ALTERNATE BOARD MEMBER TODAY, DR. YANCEY, TODD YANCEY. THAT'S WHY HIS LETTERS ON HIS NAME TAG ARE LARGER THAN EVERYONE ELSE. HE'S A NEW ALTERNATE FOR THE BOARD WHO HAS BEEN SWORN IN TODAY.

WE HAVE SOME VERY, VERY IMPORTANT BASIC BIOLOGY TO COVER IN THE MEETING TODAY AND TOMORROW MORNING.

I'D LIKE TO THANK JENNIFER PRYNE, AMY CHUNG, NICK WARSHAW, AND MELISSA KING FOR GETTING US ALL HERE IN ONE PIECE, AND FOR THE SCIENTIFIC STAFF FOR PULLING TOGETHER A VERY EXCELLENT PROGRAM FOR US FOR THE TWO DAYS.

SPECIAL THANKS TO THE TEAM AT CITY OF HOPE WHO WORKED WITH JENNA TO ARRANGE THE MEETING AND TO LYNN HARWELL FOR ARRANGING OUR SPOTLIGHT ON HIV/AIDS

## BARRISTERS' REPORTING SERVICE

1 TOMORROW FEATURING THE DISEASE TEAM AWARD RECIPIENT  
2 DR. JOHN ZAI A. AND I WOULD LIKE TO ENCOURAGE YOU  
3 ALL TO BE THERE EARLY. IT SHOULD BE AN OUTSTANDING  
4 PROGRAM. MELISSA, WOULD YOU ADVISE US THE TIME FOR  
5 THAT PROGRAM, PLEASE?

6 MS. KING: 8:30 TOMORROW MORNING.

7 CHAIRMAN KLEIN: AND THAT IS AT THE CITY  
8 OF HOPE.

9 MS. KING: AT CITY OF HOPE, AND THERE'S  
10 TRANSPORTATION FOR BOARD MEMBERS AND CIRM STAFF TO  
11 THE CITY OF HOPE. I BELIEVE THAT LEAVES AT 7:30.  
12 DOES ANYONE REMEMBER FOR SURE?

13 CHAIRMAN KLEIN: WE'LL BRIEF EVERYONE BY  
14 THE END OF THE MEETING. ALL RIGHT. AND SO AT THE  
15 END OF THE MEETING, WE'LL HAVE A BRIEFING FOR BOARD  
16 MEMBERS WHEN WE HAVE EVERYONE HERE BECAUSE SOME OF  
17 THE BOARD MEMBERS ARE STILL IN TRANSIT, SOME ARE  
18 UPSTAIRS; BUT GIVEN OUR SCHEDULE AND WHAT WE NEED TO  
19 COVER, WE'RE GOING TO LAUNCH INTO THE MEETING TODAY.

20 WE HAVE ONE PERSON JOINING BY PHONE  
21 TOMORROW. THAT PERSON WILL HOPEFULLY BE HERE BY THE  
22 END OF THE SESSION TOMORROW IN PERSON. THE  
23 PROCEEDINGS OF BOTH DAYS ARE BEING AUDIOCAST AND  
24 MADE AVAILABLE TO ALL MEMBERS OF THE PUBLIC AROUND  
25 THE WORLD BY THE INTERNET AS USUAL. SO REMEMBER

## BARRISTERS' REPORTING SERVICE

1 WHEN WE' RE SPEAKING, TO GET CLOSE TO THE MIC AND  
2 REMEMBER YOU' RE SPEAKING TO THE WORLD, NOT TO THE  
3 ROOM.

4 WE WILL START TONIGHT WITH A REPORT FROM  
5 THE PRESIDENT, AND I HAVE SEVERAL ITEMS TO MENTION  
6 AFTER THE PRESIDENT' S REPORT. THE PLEDGE OF  
7 ALLEGIANCE WILL BE GIVEN BY MELISSA KING. THAT WILL  
8 BE FOLLOWED BY THE ROLL CALL. SO, MELISSA, COULD  
9 YOU LEAD OFF.

10 (THE PLEDGE OF ALLEGIANCE. )

11 MS. KING: RICARDO AZZIZ. ROBERT PRICE  
12 FOR ROBERT BIRGENEAU.

13 DR. PRICE: HERE.

14 MS. KING: FLOYD BLOOM.

15 DR. BLOOM: HERE.

16 MS. KING: GORDON GILL FOR DAVID BRENNER.

17 DR. GILL: HERE.

18 MS. KING: WILLIAM BRODY. JACOB LEVIN FOR  
19 SUSAN BRYANT. MARCY FEIT. MICHAEL FRIEDMAN. LEEZA  
20 GIBBONS.

21 MS. GIBBONS: HERE.

22 MS. KING: MICHAEL GOLDBERG.

23 MR. GOLDBERG: HERE.

24 MS. KING: SAM HAWGOOD.

25 DR. HAWGOOD: HERE.

## BARRISTERS' REPORTING SERVICE

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

MS. KING: BOB KLEIN.

CHAIRMAN KLEIN: HERE.

MS. KING: SHERRY LANSING. GERALD LEVEY.

DR. LEVEY: HERE.

MS. KING: TODD YANCEY FOR TED LOVE.

DR. YANCEY: HERE.

MS. KING: ED PENHOET. PHIL PIZZO.

CLAIRE POMEROY. FRANCISCO PRIETO.

DR. PRIETO: HERE.

MS. KING: ELIZABETH FINI FOR CARMEN  
PULIAFITO. ROBERT QUINT. JEANNIE FONTANA FOR JOHN  
REED.

DR. FONTANA: HERE.

MS. KING: DUANE ROTH.

MR. ROTH: HERE.

MS. KING: JOAN SAMUELSON. DAVID  
SERRANO-SEWELL. JEFF SHEEHY.

MR. SHEEHY: HERE.

MS. KING: JON SHESTACK.

MR. SHESTACK: HERE.

MS. KING: OSWALD STEWARD.

DR. STEWARD: HERE.

MS. KING: ART TORRES.

MR. TORRES: HERE.

MS. KING: IF I COULD, CHAIRMAN KLEIN, I'D

## BARRISTERS' REPORTING SERVICE

1 JUST LIKE TO HAVE JENNIFER PRYNE ADDRESS THE BOARD  
2 AND LET YOU KNOW THE DETAILS FOR TOMORROW MORNING.

3 CHAIRMAN KLEIN: THANK YOU VERY MUCH.

4 MS. PRYNE: CHAIRMAN KLEIN, IN THE MORNING  
5 I'VE ARRANGED FOR GROUP TRANSPORTATION BY A BUS FOR  
6 ALL MEMBERS WHO REQUIRE TRANSPORTATION FROM THE  
7 HOTEL. IT WILL BE LEAVING AT 7:45 AND BRING YOU  
8 DIRECTLY TO THE CITY OF HOPE WHERE BREAKFAST WILL BE  
9 READY AT 8 A.M. FOR ALL BOARD MEMBERS AND STAFF.

10 CHAIRMAN KLEIN: ALL RIGHT. THANK YOU  
11 VERY MUCH. I WOULD ALSO LIKE TO MAKE CERTAIN,  
12 JENNA, THAT THOSE MEMBERS WHO ARE NOT HERE TODAY WHO  
13 ARE COMING IN THE MORNING, IF YOU COULD SEND THEM AN  
14 E-MAIL ABOUT THE SPOTLIGHT PROGRAM SO THEY'RE AWARE  
15 OF THAT TIMING.

16 MS. PRYNE: CERTAINLY. THE SPOTLIGHT IS  
17 SCHEDULED TO BEGIN AT 8:30 IN THE MORNING JUST AFTER  
18 BREAKFAST.

19 CHAIRMAN KLEIN: THANK YOU VERY MUCH. OUR  
20 HONORABLE PRESIDENT, DR. ALAN TROUNSON, WOULD YOU  
21 LIKE TO HAVE THE PRESIDENT'S REPORT.

22 DR. TROUNSON: THANK YOU, CHAIR, MEMBERS  
23 OF THE BOARD. AS USUAL, I'LL START IN ON THE  
24 SCIENCE, AND I'M BRINGING SOME DEVELOPMENTS TO YOU I  
25 THINK WHICH, AGAIN, ARE POTENTIALLY, I THINK, VERY



## BARRISTERS' REPORTING SERVICE

1 INTERESTING AND VERY IMPORTANT.

2 THE FIRST ONE IS SOME WORK THAT'S COME  
3 FROM LORENZ STUDER'S LAB AT THE SLOAN-KETTERING IN  
4 NEW YORK PUBLISHED IN *CELL STEM CELLS*, AND IT'S  
5 REALLY THE EFFICIENT DERIVATION OF WHAT THEY CALL  
6 FUNCTIONAL FLOOR PLATE TISSUE FROM HUMAN EMBRYONIC  
7 STEM CELLS. NOW, THEY POSITED THE FLOOR PLATE IS A  
8 CRITICAL SIGNALING CENTER DURING NEURAL DEVELOPMENT  
9 WHICH IS LOCATED ALONG THE VENTRAL MIDLINE OF THE  
10 EMBRYO. SO IT'S REALLY IN THE CENTRAL MIDLINE AREA  
11 OF THE EMBRYO IN THE UPPER HEAD PART OF IT.

12 LITTLE IS KNOWN ABOUT THE HUMAN FLOOR  
13 PLATE DEVELOPMENT BECAUSE OF THE LACK OF TISSUE  
14 ACCESSIBILITY. IT'S NOT -- YOU DON'T ACTUALLY GET  
15 THOSE STAGE EMBRYOS TO REALLY LOOK AT EVER. IN THE  
16 MOUSE THE FLOOR PLATE IS A SOURCE OF MIDBRAIN  
17 DOPAMINE NEURONS. THAT'S PRETTY SIGNIFICANT  
18 BECAUSE, OF COURSE, PARKINSON'S DISEASE DEPENDS ON  
19 US GETTING A SOURCE OF MIDBRAIN DOPAMINE NEURONS.  
20 AND WE'VE NEVER DIFFERENTIATED CELLS ALONG THE FLOOR  
21 PLATE PATHWAY TO GET TO THOSE NEURONS UP UNTIL NOW.

22 SO THE FLOOR PLATE INDUCTION IN HUMAN  
23 EMBRYONIC STEM CELLS IS DEPENDENT ON A GROWTH FACTOR  
24 CALLED SONIC HEDGEHOG. THE MOLECULAR BIOLOGISTS  
25 MAKE UP THESE WONDERFUL NAMES FOR GROWTH FACTORS,

## BARRISTERS' REPORTING SERVICE

1 AND IT'S BASICALLY BECAUSE THEY'RE ALLOWED TO DO IT  
2 BECAUSE THEY WORK ON WORMS OR DROSOPHILA, FRUIT FLY.  
3 IF YOU WORK IN MAMMALIAN EMBRYOGENESIS, YOU'RE NOT  
4 ALLOWED TO DO THOSE KIND OF COOL THINGS. NEVER  
5 MIND. SONIC HEDGEHOG WAS DISCOVERED IN ONE OF THOSE  
6 MORE PRIMITIVE ORGANISMS, AND EXPOSURE TO THIS  
7 MOLECULE CALLED SONIC HEDGEHOG OCCURS AT THE EXPENSE  
8 OF ANTERIOR NEURECTODERMS.

9 IF YOU LOOK AT THE BOTTOM GRAPH, NORMALLY  
10 THE HUMAN EMBRYONIC STEM CELL IS, GOING FROM LEFT TO  
11 RIGHT, WITHIN A DAY WILL GO TO A PROGENITOR CELL  
12 WHICH IS ON ITS WAY TO NEURECTODERM. AND WE, THE  
13 GROUP THAT I WORK WITH, DISCOVERED HOW TO DO THAT  
14 USING AN ANTAGONIST TO BONE MORPHOGENIC PROTEIN  
15 WHICH IS CALLED NOGGIN. AND WE WOULD ALL GO OFF  
16 ONTO THAT PATH OF NEURECTODERM, AND THAT'S WHERE  
17 MOST OF OUR NEURONS COME FROM IN THE DIFFERENTIATION  
18 PATHWAY.

19 WHAT HAPPENS IS THAT IF YOU EXPOSE THOSE  
20 CELLS TO HIGH LEVELS OF SONIC HEDGEHOG, THEY GO TO  
21 THE FLOOR PLATE, AND THEY PRODUCE A WHOLE RANGE OF  
22 DIFFERENT NEURONS, AND IMPORTANT, VERY IMPORTANT  
23 NEURONS FOR WHERE WE'RE GOING TO BE LOOKING FOR  
24 PARKINSON'S DISEASE, FOR EXAMPLE.

25 SO WHAT HAPPENS IF YOU GOT HIGH LEVELS OF

## BARRISTERS' REPORTING SERVICE

1 SONIC HEDGEHOG, YOU BLOCK THE PATHWAY OF THE DKK-1  
2 GENE, WHICH SENDS ALL OF THE CELLS GOING UP TO  
3 NEURECTODERM. SO THIS IS A PRETTY CRITICAL PLACE  
4 FOR SENDING THE CELLS IN A DIRECTION THAT COULD BE  
5 VERY IMPORTANT USAGE DOWNSTREAM. AND I THINK THAT'S  
6 A VERY IMPORTANT PAPER.

7 LORENZ GAVE A TALK AT THE GRANTEE  
8 WORKSHOP, SO WE HAD THE PRIVILEGE OF LISTENING TO  
9 HIM. AND I THINK MAYBE JEFF SHEEHY WAS AT THAT  
10 MEETING AND MAYBE SOME OTHERS. HE GAVE AN  
11 ABSOLUTELY WONDERFUL TALK AND INCLUDED THIS ISSUE OF  
12 THE FLOOR PLATE. AND I THINK IT'S A REALLY CRITICAL  
13 COMPONENT IN WHAT'S NEEDED TO GET CELLS THAT ARE  
14 NEEDED FOR THESE NEURODEGENERATIVE DISORDERS THAT  
15 WE'RE TRYING VERY HARD TO WORK ON.

16 THE NEXT PAPER IS FROM SHENG DING'S LAB AT  
17 SCRIPPS RESEARCH INSTITUTE BY XU, ET AL., AND IT WAS  
18 PUBLISHED IN THE *PNAS* JOURNAL IN APRIL. SHENG DING  
19 IS REALLY BECOMING ONE OF THE STARS OF RESEARCH IN  
20 STEM CELLS, AND HE'S CLEARLY SORT OF MAKING A HUGE  
21 NAME FOR HIMSELF AND THE LABORATORY.

22 HE'S BEEN WORKING ON REVEALING CORE  
23 SIGNALING REGULATOR MECHANISMS FOR HOW EMBRYONIC  
24 STEM CELLS SURVIVE AND RENEW. WHAT HAPPENS AS A  
25 BIOLOGIST, IF YOU TAKE A NEST OF EMBRYONIC STEM

## BARRISTERS' REPORTING SERVICE

1 CELLS AND YOU BREAK THEM UP INTO SINGLE CELLS, THEY  
2 DIE. AND I REMEMBER IN THE '90S WATCHING THESE  
3 CELLS DIE ALL THE TIME ON ME, AND IT WAS ABSOLUTE  
4 AND UTTER FRUSTRATION. IF YOU KEEP THE COLONY  
5 TOGETHER, ONE ANOTHER TOGETHER, THEY SURVIVE; BUT IF  
6 YOU BREAK THEM UP INTO INDIVIDUAL CELLS, THEY DIE  
7 AND THEY CAN'T CONTINUE.

8 SO HE'S FOUND THAT -- THE LAB'S FOUND TWO  
9 SMALL MOLECULES THAT ENHANCE THE SURVIVAL OF THESE  
10 CELLS WHEN YOU BREAK THEM UP USING HIGH THROUGHPUT  
11 CHEMICAL SCREENING. HE SHOWED THAT THOSE CHEMICAL  
12 ACTIONS REVEALED AN ESSENTIAL ROLE FOR TWO  
13 MOLECULES. ONE IS THE CELL ADHESION MOLECULE CALLED  
14 E-CADHERIN. THAT'S A MOLECULE IN THE EARLY EMBRYO  
15 THAT BINDS THE CELLS TOGETHER. SO IT DOES HAVE AN  
16 IMPORTANT ROLE IN EMBRYONIC STEM CELLS. AND THIS  
17 E-CADHERIN MOLECULE IS AN ACTUAL SIGNALING MOLECULE.  
18 IT'S TELLING THE CELL WHAT TO DO. AND IF YOU  
19 DISRUPT THE EMBRYONIC STEM CELLS BY ENZYMATICALLY  
20 DIGESTING THEM, YOU DISRUPT THIS E-CADHERIN  
21 SIGNALING PATHWAY, AND THAT PERTURBS ANOTHER PATHWAY  
22 CALLED THE INTERGRIN SIGNALING PATHWAY.

23 SO INTERGRINS ARE ANOTHER MOLECULE THAT  
24 HOLDS CELLS TOGETHER, BINDS CELLS TOGETHER. SO  
25 SHOWN IN THE BOTTOM THERE, YOU GET THESE TWO

## BARRISTERS' REPORTING SERVICE

1 SIGNALING PATHWAYS COOPERATING IN THE SURVIVAL AND  
2 THE RENEWAL OF EMBRYONIC STEM CELLS. AND THAT  
3 INCLUDES A COMPONENT PART OF GROWTH FACTORS, SO THE  
4 THREE -- THE GROWTH FACTORS AND THESE TWO  
5 NEUROSIGNALING SYSTEMS ARE REQUIRED FOR THAT.

6 THESE TWO SMALL MOLECULES REALLY HELP YOU  
7 GET AROUND THE PROBLEMS OF DISRUPTING THOSE BONDS  
8 FROM THOSE CELL ADHESION MOLECULES. THAT'S VERY  
9 IMPORTANT IF YOU ARE GOING TO MANUFACTURE CELLS AND  
10 MULTIPLY THEM IN LARGE NUMBERS. SO IT'S A VERY  
11 IMPORTANT DEVELOPMENT AND ONE I THINK WILL BE  
12 STRONGLY WELCOME BY THE FIELD.

13 WHEN I'M TALKING ABOUT SIGNALING  
14 MOLECULES, THERE ARE NOW PEOPLE BUILDING COMPANIES  
15 AND NOT-FOR-PROFIT INSTITUTES ON THE BASIS OF  
16 UTILIZING THE SIGNALING PATHWAYS FOR  
17 DIFFERENTIATION. THIS IS A VERY IMPORTANT NEW  
18 DEVELOPMENT IN STEM CELLS. WHEN YOU THINK ABOUT IT  
19 IN TERMS OF INSULIN PRODUCTION OR PRODUCING BETA  
20 ISLET CELLS FROM EMBRYONIC STEM CELLS, WE KNOW THAT  
21 YOU CAN MAKE INSULIN-PRODUCING CELLS BY MIMICKING  
22 THE DEVELOPMENT OF PATHWAYS THAT THE EMBRYO NORMALLY  
23 SEES. AND THAT, AS SHOWN ON THE BOTTOM OF THE SLIDE  
24 THERE, YOU HAVE TO GO THROUGH WHAT WE CALL ES CELLS,  
25 AND THEN YOU GO TO MESENODERM, DEFINITIVE ENDODERM,

## BARRISTERS' REPORTING SERVICE

1 FOREGUT ENDODERM, PANCREATIC ENDODERM, AND THEN THE  
2 ENDOCRINE PRECURSOR STAGE. YOU HAVE TO MOVE THROUGH  
3 THESE, AND YOU HAVE TO UNDERSTAND WHAT'S REQUIRED TO  
4 MOVE THROUGH THESE THINGS. SO PEOPLE ARE ADDING  
5 LOTS OF DIFFERENT GROWTH FACTORS AND CHEMICALS.  
6 THERE'S A BIG COOK-UP GOING ON TRYING TO FIGURE OUT  
7 HOW TO DO IT.

8 THIS GROUP OF PEOPLE AT KINGS COLLEGE HAVE  
9 LOOKED AT THAT, ALL THE RESULTS IN THE LITERATURE,  
10 AND THEN PULLED IT BACK TO THE SIGNALING PATHWAYS.  
11 SO THEN ON THE BOTTOM, WE CAN FIGURE OUT WHAT  
12 SIGNALING PATHWAYS NEED TO BE ADJUSTED TO MAKE THE  
13 CELLS MOVE FROM ONE STATE TO ANOTHER. SO YOU PUSH  
14 UP WNT SIGNALING, YOU PUSH DOWN P 13, PUSH UP NODAL,  
15 AND YOU GET ES CELLS TO GO TO MESENDODERM AND SO ON.  
16 SO YOU PUSH UP, PUSH DOWN, PUSH UP, PUSH DOWN, AND  
17 YOU WILL ACTUALLY GET THE CELLS TO CHANGE. AND YOU  
18 WILL SEE THAT COMMONLY THE WNTS AND NOTCHES AND  
19 SONIC HEDGEHOGS AND THINGS APPEAR FREQUENTLY IN  
20 THESE PROCESSES. THEY'RE USING THOSE SIGNALING  
21 PATHWAYS OVER AND OVER AGAIN FOR A DIFFERENT  
22 PURPOSE. THEY GET TO ONE STATE, THEN THEY TURN IT  
23 AROUND AND GO TO ANOTHER STATE AND ANOTHER STATE.  
24 SO IT'S PRETTY NEAT SORT OF SCIENCE THAT NOW PEOPLE  
25 CAN UNDERSTAND, I THINK, VERY CLEARLY WHAT HAVE YOU

## BARRISTERS' REPORTING SERVICE

1 GOT TO ACHIEVE DURING THE SIGNALING PATHWAY  
2 DEVELOPMENT.

3 AND NOW WHAT THEY'RE DOING IS COMPOSING  
4 THEIR HIGH THROUGHPUT SCREENING USING THOSE  
5 MOLECULES THAT ARE PART OF THE SIGNALING PATHWAY.  
6 SO VERY CLEVERLY THEY'RE IDENTIFYING THESE NEW  
7 MOLECULES BY UNDERSTANDING THE SIGNALING PATHWAYS.  
8 SO THEY HAVE THESE -- WHEN THEY'RE DOING A BIG  
9 MOLECULAR SCREEN, THEY PUT IN ALL THE SIGNALING  
10 PATHWAYS, AND THEN THEY WATCH WHAT HAPPENS. AND  
11 THEY GET THEM, THESE SMALL MOLECULES, OUT AND IT'S  
12 BECOMING MORE AND MORE EFFICIENT. SO THOSE  
13 SCREENING GROUPS LIKE SHENG DING AND LIKE OTHERS,  
14 PETE SCHULZ, OTHERS WHO DO THIS, PEOPLE AT THE  
15 BURNHAM AND OTHER PLACES, THEY ARE STARTING TO PICK  
16 UP MOLECULES ALL THE TIME JUST BY LOOKING AT THESE  
17 KIND OF PROCESSES. I THINK THIS IS BRINGING IT DOWN  
18 TO THE BARE BASIC FACTS OF HOW YOU DO THESE THINGS.

19 I THOUGHT -- I'VE BEEN TRYING TO PERSUADE  
20 THE STAFF AND MY REDOUBTABLE CHAIRMAN AND VICE  
21 CHAIRMEN THAT WE NEED TO BE STIMULATING CREATIVITY.  
22 AND I HAVE A VIEW THAT YOU NEED TO WORK IN DIFFERENT  
23 SPACES TO BE CREATIVE. LIKE I THINK YOU NEED TO BE  
24 WORKING IN STEM CELLS AND MUSIC OR STEM CELLS AND  
25 PHYSICS OR STEM CELLS AND SOMETHING ELSE TO REALLY

## BARRISTERS' REPORTING SERVICE

1 BE CREATIVE BECAUSE ESSENTIALLY WHAT WE DO IS  
2 PERPETUATE THE LONGITUDINAL RESEARCH; THAT IS, THE  
3 LINEAR RESEARCH. WE TAKE THE SCIENCE AND ADD ON,  
4 ADD ON, ADD ON. THE REALLY CREATIVE PEOPLE JUMP  
5 LIKE KANGAROOS, ONE TO PLACE TO PLACE. THIS IS WHAT  
6 WORKED OUT DNA. THIS IS WHAT WORKED OUT GOOGLE.  
7 THIS IS WHAT WORKED OUT ALL OF THOSE REALLY CREATIVE  
8 ELEMENTS THAT DIDN'T EXIST BEFORE.

9 SO I THINK THERE'S A NEED TO STIMULATE  
10 CREATIVITY. I THINK I GOT BOB JUST ABOUT ON BOARD.  
11 I'M NOT SURE WHO ELSE I'VE GOT ON AT THE MOMENT, BUT  
12 I WANTED TO GIVE YOU AN EXAMPLE. SO I'VE GONE TO A  
13 PAPER IN *ADVANCE MATERIALS* ON PRINTED ORIGAMI  
14 STRUCTURES. WHAT'S ORIGAMI GOT TO DO WITH ANYTHING?  
15 WELL, THEY HAVE BEEN MERGING DIRECT-WRITE ASSEMBLY  
16 WITH WET-FOLDING ORIGAMI TECHNIQUES. AND THEY'VE  
17 GOT THESE DIRECT INK PRINTING MACHINES SHOWN THERE  
18 WITH THE RED TIP, AND THEY PRODUCE THESE REALLY NEAT  
19 PATTERNS, RIGHT, ON THE SIDE THERE. SO YOU CAN MAKE  
20 REALLY NEAT SORT OF PATTERNS.

21 NOW, IF YOU'VE GOT A FACILE PATHWAY FOR  
22 DOING THAT, YOU CAN THEN ASSEMBLE METALLIC, CERAMIC,  
23 AND POLYMERIC INK MATERIALS IN ANY KIND OF SHAPE  
24 THAT YOU'D LIKE. SO YOU GET INTO 3D MESOSCALE  
25 OBJECTS, YOU CAN FIND POTENTIAL APPLICATIONS



## BARRISTERS' REPORTING SERVICE

1 EVERYWHERE, ENGINEERED SCAFFOLD, BIOMEDICAL DEVICES,  
2 OR CATALYST SUPPORTS.

3 SO THE NEXT SLIDE. HERE WE'VE GOT -- THIS  
4 IS AN ORIGAMI FOLDING. YOU'VE DONE THAT AT SCHOOL  
5 OR YOU SHOULD HAVE DONE IT, OR YOU'VE HELPED YOUR  
6 KIDS DO IT. THIS IS HOW YOU FOLD ORIGAMI SHAPES.  
7 AND THIS IS WHAT THEY DO WITH THESE STRUCTURES.  
8 THEY MAKE THEM OUT OF POLYMERS OR CERAMICS AND THEN  
9 THEY FOLD THEM. AS LONG AS THEY'RE IN A WET,  
10 MALLEABLE STATE, THEY CAN DO THAT. THEY CAN CREATE  
11 KIND OF ANY FIGURE YOU LIKE.

12 THE NEXT SLIDE I THINK IS RATHER NEAT  
13 BECAUSE THEY'VE MADE A FOLDING SEQUENCE OF AN  
14 ORIGAMI CRANE. I KIND OF THINK IF SOMEONE WANTS TO  
15 MAKE A NEW LIVER FOR ME, MAKE IT LIKE A CRANE. SEE  
16 THE CRANE THERE ON THE RIGHT-HAND SIDE. YOU CAN  
17 MAKE WHATEVER YOU LIKE. YOU COULD BUILD SOMETHING  
18 THAT WOULD RESEMBLE ADRENAL GLAND OR A HEART. I  
19 KIND OF LIKE THE ORIGAMI CRANE MYSELF. BUT YOU SEE  
20 ON THE LEFT-HAND SIDE THERE THAT YOU CAN ACTUALLY  
21 FOLD THEM INTO ALL SORTS OF CONICAL SHAPES. SO YOU  
22 CAN START MAKING SCAFFOLDS THAT YOU CAN BUILD CELLS  
23 INTO, AND THEN CREATE TISSUES, ENGINEERED TISSUES  
24 AND SHAPES WHICH ARE REALLY, REALLY NEAT, COOL  
25 STUFF.

## BARRISTERS' REPORTING SERVICE

1 SO I KIND OF THOUGHT -- THIS IS A SORT OF  
2 A BIT OF A LIFT FOR MY KEENNESS TO TRY AND STIMULATE  
3 SOME CREATIVITY IN HERE AND HAVING SOME OF THE  
4 UNDERGRADUATE STUDENTS WHO POPULATE DIFFERENT SPACES  
5 AND THEN BRING IT TOGETHER WITH STEM CELLS. I THINK  
6 WE MIGHT GET SOME OF THE BIGGER JUMPS IN TECHNOLOGY.  
7 I SEE MICHAEL NODDING HIS HEAD. I MIGHT HAVE ONE  
8 VOTE FROM THE BOARD. HE'S ON BOARD.

9 I THINK IT'S KIND OF NEAT STUFF, AND SO IT  
10 JUST SHOWS YOU THAT YOU CAN MOVE FROM ONE SPACE TO  
11 ANOTHER, AND OUT OF THAT YOU MIGHT CREATE SOMETHING  
12 WHICH IS REALLY, REALLY COOL AND REALLY, REALLY  
13 INTERESTING AND MAYBE VERY IMPORTANT.

14 MY PRIORITIES ARE THE SAME AS  
15 MANAGEMENT'S. IT'S BEEN REALLY A VERY HARD MONTH, I  
16 CAN TELL YOU. WE'VE BEEN IN AN IMMUNOLOGY REVIEW.  
17 I THOUGHT IT WAS A TERRIFIC REVIEW. IT WAS ONE OF  
18 THE INTELLECTUALLY MOST STIMULATING REVIEWS. WE HAD  
19 A NEW GROUP OF PEOPLE, A LARGE NUMBER OF NEW  
20 REVIEWERS FROM AROUND THE WORLD, AND THEY ACTUALLY  
21 DID SORT OF CHALLENGE THINGS PRETTY HARD. I THOUGHT  
22 IT WAS A GREAT REVIEW. AND OUT OF IT, WE'LL GET  
23 SOME RECOMMENDATIONS THAT WILL BE COMING TO THE  
24 BOARD.

25 THERE HAVE BEEN ISSUES FOR RESOLUTIONS FOR

## BARRISTERS' REPORTING SERVICE

1 DISEASE TEAM PRECLINICAL RESEARCH. A LOT OF ISSUES  
2 HAVE COME UP WITH THE DIFFERENT TEAMS, AND WE'VE  
3 BEEN WORKING, WORKING, WORKING WITH THEM. AND I'D  
4 HAVE TO SAY THAT ALL THE TEAMS HAVE BEEN RESPONDING  
5 MAGNIFICENTLY. I HAVE TO SAY OFTEN THEY STARTED  
6 WITH A DIFFERENT VIEW, LIKE GIVE US THE MONEY, TRUST  
7 US, WE'LL DO IT, TO LET'S WORK TOGETHER AND LET'S  
8 MAKE SURE THAT WE CAN GET THAT IND IN TIME. THAT'S  
9 BEEN PROVING TO BE TERRIFIC. I THINK ALL OF THE  
10 GROUPS THAT I'VE WORKED WITH AND NOW THE STAFF HAVE  
11 WORKED WITH ARE REALLY RIGHT IN LINE AND BEING  
12 EXTREMELY HELPFUL AND POSITIVE ABOUT THE APPROACH.

13 THE VP R&D SEARCH CONTINUES. I'VE KIND OF  
14 LET DOWN THE SIDE A BIT IN GETTING SOMEONE TO THEM  
15 IN TIME. I HOPED TO HAVE HAD SOMEBODY BY FEBRUARY  
16 OR MARCH. NOW TIME'S MOVING ON, BUT WE'RE WORKING  
17 HARD ON THAT.

18 FINANCIAL FORECASTING FOR TIMING OF RFA  
19 RELEASE, WORKING WITH PAT OLSON AND THE TEAM TO SORT  
20 OF LOOK AT WHAT WE NEED TO DO AND THEN WHAT WE  
21 SHOULD DO WITH OUR REMAINING \$2 BILLION IN ORDER TO  
22 MATCH UP WITH THE EXPECTATIONS OF THE GOALS THAT ARE  
23 SET IN THE STRATEGIC PLAN. SO WE WANT TO COME BACK  
24 TO THE BOARD WITH SOME DOCUMENT TO DISCUSS WITH YOU.  
25 WE HAD A DISCUSSION WITH THE VICE CHAIRS AND WE

## BARRISTERS' REPORTING SERVICE

1 THINK -- BOB WASN'T AVAILABLE AT THAT PARTICULAR  
2 TIME -- BUT THERE IS SOME IMPORTANT ISSUES RELATING  
3 TO HOW FAST YOU SPEND THE MONEY TO GET THE GOALS  
4 THAT ARE SET IN THE MISSION. AND IT'S AN IMPORTANT  
5 DISCUSSION TO HAVE WITH YOU.

6 JOHN ROBSON HAS BEEN REALLY CRITICAL IN  
7 THAT COMPONENT PART, A LOT OF THINKING, A LOT OF  
8 DETAILED ANALYSIS OF HOW WE SHOULD GO. SO WE'LL  
9 BRING THAT TO YOU HOPEFULLY IN ONE OF THE NEXT FEW  
10 BOARD MEETINGS.

11 THE ISSCR-CIRM REGULATORY WORKSHOP WE'VE  
12 BEEN WORKING HARD ON. THIS IS HARMONIZING  
13 REGULATION ACROSS THE INTERNATIONAL AREAS. IT'S  
14 KIND OF A HARD AREA BECAUSE EVEN WITH THE FDA, WE'RE  
15 STILL WORKING WITH THE FDA TO UNDERSTAND WHAT IS  
16 NEEDED IN THE REGULATORY PATHWAY FOR ALL OF THE  
17 TRANSLATION AND EARLY CLINICAL WORK.

18 WE'VE HAD AN FDA WEBINAR. AND ELONA BAUM  
19 HAS BEEN FANTASTIC IN GETTING THE FDA ALONG. IF YOU  
20 WERE ABLE TO TAKE THE TIME TO LISTEN TO THESE  
21 WEBINARS, THEY'RE TERRIFIC. THE INFORMATION THAT'S  
22 COMING FROM THE FDA AND FROM THE SCIENTISTS IS VERY,  
23 VERY HELPFUL. AND IT'S A LEARNING PROCESS THAT'S  
24 GOING BOTH WAYS. AND I'M REALLY THRILLED AT WHAT'S  
25 HAPPENING THERE.

## BARRISTERS' REPORTING SERVICE

1 WE HAVE A SERIES OF MEETINGS COMING UP.  
2 WE HAVE ANOTHER FACE-TO-FACE MEETING WITH FDA.  
3 THEY' RE COOPERATING VERY WELL. AND HOPEFULLY WE' LL  
4 BE ABLE TO PUT SOME RECOGNIZED STANDARDS IN THE  
5 PROCEDURES OF EXPECTATION SOONER RATHER THAN LATER  
6 SO THAT WE CAN HELP ALL OUR TEAMS UNDERSTAND WHAT IS  
7 NEEDED TO GET UP THIS PATHWAY. IT' S A TERRIBLY  
8 COMPLICATED, DIFFICULT PATHWAY, BUT IT' S BEEN VERY  
9 INTERESTING THE ANALYSIS THAT WE' VE BEEN DOING, BUT  
10 ALSO THE TO-AND-FRO DISCUSSION WITH FDA.

11 WE HAVE A SOMATIC CELL NUCLEAR TRANSFER  
12 WORKSHOP. WE HAVE A BOARD MEMBER PRESENTING AT THAT  
13 WORKSHOP, WHICH WE' RE THRILLED ABOUT. SO HARD-CORE  
14 SCIENCE, IT' S GOING TO BE GOOD. WE' VE GOT THE WHOLE  
15 WORLD COMING TO THAT WORKSHOP. AND WE EXPECT TO  
16 REALLY BE ABLE TO LAY THE WHOLE AREA OPEN AND TO SEE  
17 WHERE WE ARE, WE SHOULD FIT WITH RESPECT TO  
18 PRIORITIES IN THAT SUBJECT. SO WE' RE ALL LOOKING  
19 FORWARD TO THAT. IT' S GOING TO BE OPEN -- THE  
20 OPENING TALK IS GOING TO BE GIVEN BY JOHN GURDON.  
21 HE WON THE ALASKAS PRIZE RECENTLY FOR MEDICINE.  
22 HE' D BE ONE OF THE FAVORITES, I THINK, FOR THE NOBEL  
23 PRIZE, HE AND SHINYA YAMANAKA COMING UP IN THE NEXT  
24 FEW YEARS. HE' S A FANTASTIC GUY, JOHN GURDON. AND  
25 LORD PATEL IS GOING TO CHAIR THE MEETING. LORD

## BARRISTERS' REPORTING SERVICE

1 PATEL IS A VERY STRONG SUPPORTER OF SOMATIC CELL  
2 NUCLEAR TRANSFER AND A LORD IN THE HOUSE OF LORDS IN  
3 BRITAIN. HE'S A WONDERFUL MAN AND VERY STIMULATING.  
4 HE'S GOING TO CHAIR THE OVERALL MEETING.

5 WE'RE COMING UP TO THE CIRM 2010 REVIEW.  
6 I'LL SPEAK BRIEFLY ON THAT IN A MOMENT. WE'VE GOT A  
7 LOT OF COMMUNICATIONS, COLLABORATIVE FUNDING  
8 AGREEMENT CONTRACTS THAT WE'RE WORKING HARD ON, AND  
9 THAT CONTINUES TO OCCUPY A LOT OF TIME FROM TIME TO  
10 TIME, AND NANCY KOCH HAS BEEN REALLY CRITICAL IN  
11 KEEPING ALL OF THAT WORKING TOGETHER.

12 AS I SAID, THE ORIGAMI INTERNSHIPS. AND  
13 AN ISSUE THAT I'M TRYING TO GET SUPPORT FOR IS AN  
14 IPS CELL BANKING. WE WANT TO SORT OF BRING THAT  
15 ALSO TO YOU IN DUE COURSE, BUT I THINK THERE'S A  
16 GREAT OPPORTUNITY HERE FOR CIRM TO PROVIDE A  
17 RESOURCE FOR THE WHOLE OF CALIFORNIA RESEARCH AND A  
18 VERY IMPORTANT RESEARCH TOOL FOR EXAMINING THE  
19 HETEROGENEITY OF HUMAN DISEASES. I THINK THIS IS  
20 ONE OF THE NEXT BIG PLATFORMS IN MEDICAL RESEARCH,  
21 AND I THINK WE WANT TO BE PART OF IT. NEXT SLIDE.

22 WE WELCOME ON BOARD NINI GABRA. IS NINI  
23 HERE, PLEASE? AND SO NINI IS ADMIN ASSISTANT --

24 (APPLAUSE.)

25 DR. TROUNSON: -- TO BOTH THE GENERAL

## BARRISTERS' REPORTING SERVICE

1 COUNSEL AND THE VICE PRESIDENT OF OPERATIONS. SO  
2 SHE'S GOT A TERRIBLY DIFFICULT TASK TO PERFORM.  
3 THEY'RE VERY DEMANDING, THOSE TWO. SHE'S A  
4 WONDERFUL PERSON. CAME FROM STANFORD. AND I'VE  
5 BEEN PUNISHED BY DR. WEISSMAN FROM STEALING A GREAT  
6 RESOURCE. WE DIDN'T STEAL HER. SHE JUST WANTED TO  
7 COME. SO IRV'S DISAPPOINTED.

8 THE EXTERNAL REVIEW, THE STRATEGIC PLAN  
9 CALLS FOR AN EXTERNAL REVIEW TO BENCHMARK CIRM'S  
10 EFFORTS AGAINST ITS GOALS. THE REVIEW SHOULD BE  
11 SUBMITTED AS A WRITTEN REPORT TO BE PRESENTED AT THE  
12 DECEMBER BOARD MEETING. THE CHARGE OF THE REVIEW  
13 TEAM, WHICH IS REALLY TAKEN FROM THE STRATEGIC PLAN,  
14 IS TO EVALUATE CIRM'S PROGRESS AGAINST ITS GOALS, TO  
15 ASSESS THEIR EFFECTIVENESS IN MOVING CIRM TOWARDS  
16 MEETING ITS GOALS, AND ACCOMPLISHING ITS MISSION,  
17 AND TO RECOMMEND CHANGES TO CIRM'S FUNDING  
18 PRIORITIES TO ENSURE THAT CIRM IS SUPPORTING THE  
19 MOST PROMISING ADVANCES IN THE FIELD OF REGENERATIVE  
20 MEDICINE. I'VE DIRECTLY TAKEN THAT FROM THE  
21 STRATEGIC PLAN.

22 TOGETHER WITH THE CHAIR, CHAIR'S OFFICE,  
23 MANAGEMENT, LARGE GROUP OF PEOPLE PUT TOGETHER A  
24 POTENTIAL GROUP WHICH WE WENT OUT TO SEE IF WE COULD  
25 FIND THREE DAYS IN THEIR LIVES, THEIR BUSY LIVES,

## BARRISTERS' REPORTING SERVICE

1 AND WE HAVE AGREEMENT FROM THIS GROUP OF PEOPLE.  
2 AND I THINK IN YOUR NOTES THERE WILL BE -- SO WE  
3 WILL GIVE YOU BIOS ON THESE PEOPLE. THIS IS AN  
4 EXTRAORDINARY GROUP OF PEOPLE.

5 DR. ALAN BERNSTEIN WAS THE FOUNDING  
6 EXECUTIVE DIRECTOR OF THE CANADIAN INSTITUTES OF  
7 HEALTH RESEARCH, AND HE'S CURRENTLY THE DIRECTOR OF  
8 THE GLOBAL HIV VACCINE ENTERPRISE. HE'S A VERY  
9 SENIOR SCIENTIST WHO'S BEEN IN THE PUBLIC FUNDING  
10 SPACE.

11 DR. GEORGE DALEY IS REALLY ONE OF THE  
12 DEONS OF RESEARCH. HE'S AT HARVARD UNIVERSITY.  
13 HE'S REALLY A FANTASTIC PERSON, A VERY INNOVATIVE,  
14 VERY TOP-LINE SCIENTIST.

15 PROFESSOR SIR MARTIN EVANS IS A NOBEL  
16 LAUREATE IN STEM CELLS. HE RECEIVED THE NOBEL PRIZE  
17 FOR HIS WORK IN STEM CELLS FROM THE UNIVERSITY OF  
18 CARDIFF.

19 PROFESSOR JUDY ILLES IS A NEUROLOGIST AND  
20 NEUROETHICIST FROM THE UNIVERSITY OF BRITISH  
21 COLUMBIA.

22 DR. RICHARD INSEL IS THE CSO AND EXECUTIVE  
23 VICE PRESIDENT RESEARCH FROM THE JDRF.

24 DR. RICK KLAUSNER IS CURRENTLY THE COLUMN  
25 GROUP, BUT HE WAS THE EXECUTIVE DIRECTOR OF THE



## BARRISTERS' REPORTING SERVICE

1 GATES FOUNDATION FOR GLOBAL HEALTH AND WAS ALSO THE  
2 DIRECTOR OF THE NATIONAL CANCER INSTITUTE.

3 DR. MYRTLE POTTER WHO WAS PRESIDENT AND  
4 CEO OF MYRTLE POTTER & COMPANY, BUT SHE WAS FORMERLY  
5 PRESIDENT AND CHIEF OPERATING OFFICER FOR GENENTECH.

6 AND DR. NANCY WEXLER IS PRESIDENT OF THE  
7 HEREDITARY DISEASES FOUNDATION AND PROFESSOR OF  
8 NEUROPSYCHOLOGY AT COLUMBIA UNIVERSITY.

9 SO THEY'VE AGREED TO PARTICIPATE IN THREE  
10 DAYS IN OCTOBER 13TH TO 15TH. SO I THINK THAT'S A  
11 BLUE RIBBON GROUP. THEY'LL BE TOUGH, AND I THINK  
12 THEY WILL BE SEARCHING. SO WE'RE DELIGHTED THAT WE  
13 CAN ATTRACT PEOPLE OF THAT CALIBER TO DO THIS  
14 PARTICULAR REVIEW.

15 COMPLETED REVIEWS, AS I SAID, THE STEM  
16 CELL TRANSPLANTATION IMMUNOLOGY WAS COMPLETED IN  
17 APRIL, WILL COME IN THE JUNE MEETING TO THE ICOC.

18 UPCOMING RFA'S, THE EARLY TRANSLATIONAL  
19 II, POSTED IN FEBRUARY, WE'VE RECEIVED  
20 PREAPPLICATIONS. WE'VE RECEIVED 112 APPLICATIONS IN  
21 MARCH. THE FULL APPLICATIONS WILL BE INVITED ON MAY  
22 THE 17TH WITH FULL GRANT APPLICATIONS DUE JUNE 30TH,  
23 AND THE REVIEW WILL BE IN SEPTEMBER.

24 TOOLS AND TECHNOLOGIES FOR BOTTLENECKS,  
25 THE RFA POSTED IN APRIL, RECEIPT OF THE PREAPS WILL

## BARRISTERS' REPORTING SERVICE

1 BE MAY 19TH, AND THE REVIEW IN NOVEMBER, AND THE  
2 ICOC IN JANUARY. AND THE CLINICAL PROGRAM WILL BE  
3 POSTED EARLY JULY WITH A REVIEW IN JANUARY AND  
4 COMING TO THE ICOC IN MARCH. SO THAT'S SOME WORK IN  
5 FRONT OF US.

6 WE HAD A WORKSHOP WITH THE MARYLAND TEDCO  
7 GROUP. WE HAVE A COLLABORATIVE AGREEMENT WITH  
8 MARYLAND NOW. IT WAS HELD IN BALTIMORE MARCH 11TH  
9 AND 12TH. WE HAD SEVEN CALIFORNIANS ON SHORT NOTICE  
10 WENT AND 12 MARYLAND SCIENTISTS BOTH FROM ACADEMIA  
11 AND INDUSTRY PRESENTED. IT WAS ATTENDED BY 150  
12 SCIENTISTS, CLINICIANS, AND POSTDOCTORAL FELLOWS  
13 FROM MARYLAND AND CALIFORNIA. THE SESSIONS WERE ON  
14 GENE THERAPY TECHNOLOGY AND IN VIVO IMAGING  
15 TECHNOLOGIES, TWO IMPORTANT COMPONENT PARTS OF OUR  
16 INTEREST IN THAT COLLABORATION.

17 THERE WAS A CIRM CONSORTIUM-FDA WEBINAR.  
18 THIS WAS THE ONE WITH THE FDA. THERE WERE  
19 PARTICIPANTS FROM 109 U.S. AND INTERNATIONAL  
20 SCIENCE, MIX OF INDUSTRY AND ACADEMIA, A LARGE  
21 SHOWING OF FDA PERSONNEL, AND CONTRACT MANUFACTURING  
22 ORGANIZATIONS. DON FINK FROM THE FDA SPOKE,  
23 MAHENDRA RAO FROM LIFE TECHNOLOGY SPOKE, AND DR.  
24 SCOTT BURGER, CONSULTANT WITH ADVANCED CELL AND GENE  
25 TECHNOLOGY SPOKE. AND THE VIDEO OF THAT IS NOW

## BARRISTERS' REPORTING SERVICE

1 POSTED ON THE CIRM REGENERATIVE MEDICINE CONSORTIUM  
2 WEB PAGE ON OUR WEB PAGE THERE. AND THERE'S TWO  
3 ADDITIONAL EDUCATIONAL WEBINARS AND ONE ROUNDTABLE  
4 SLATED BEFORE THE END OF 2010. SO WE'RE WORKING  
5 HARD WITH FDA, AND WE'RE MAKING IT AVAILABLE TO  
6 EVERYBODY TO PARTICIPATE THROUGH WEBINARS. AND WE  
7 HAVE A LARGE GROUP GOING FACE TO FACE WITH THEM AS  
8 WELL.

9 SO WE HAD AN ADVANCE EFFECTIVE RESEARCH  
10 OVERSIGHT COMPLIANCE WORKSHOP, REGULATORY  
11 COMPLIANCE. THESE WERE HELD IN THREE LOCATIONS  
12 THROUGH CALIFORNIA. THE WORKSHOPS WERE DESIGNED TO  
13 SUPPORT COMPLIANCE WITH CIRM'S REGULATORY OVERSIGHT  
14 AND FINANCE REPORTING REQUIREMENTS. AND THEY  
15 INCLUDED A REVIEW OF AMENDMENTS TO CIRM'S MEDICAL  
16 AND ETHICAL STANDARDS, A DESCRIPTION OF CIRM'S  
17 COMPLIANCE PROGRAM, COMPLIANCE SITE VISITS, IN FACT,  
18 DISCUSSION OF COMPLIANCE ISSUES WITH  
19 MULTI-INSTITUTIONAL COLLABORATION, AND DISCUSSION OF  
20 FINANCIAL ADMINISTRATION ISSUES AND REPORTING  
21 REQUIREMENTS.

22 SO THIS WAS HEADED BY GEOFF LOMAX, CYNTHIA  
23 SCHAFFER, GABE THOMPSON, IAN SWEEDLER, AND CHI LA  
24 SILVA-MARTIN ALL WENT TO THESE SESSIONS. SO WE'RE  
25 GETTING INTO THE WEEDS WITH THE INSTITUTES HELPING

## BARRISTERS' REPORTING SERVICE

1 THEM UNDERSTAND WHAT' S REQUIRED WITH COMPLIANCE WITH  
2 A LOT OF REGULATIONS THAT WE HAVE ON OUR BOOKS.

3 THERE WAS A CIRM STAFF TRAINING ON  
4 ADVANCED RESEARCH INTEGRITY. THIS IS AN ISSUE WHICH  
5 HAS ALWAYS WORRIED FROM ME FROM THE BEGINNING AS A  
6 RESEARCH FUNDING ORGANIZATION, THAT WE SHOULD DO OUR  
7 BEST IN ENSURING THAT THE DATA THAT WE' RE FUNDING  
8 AND PRODUCING HAS THE HIGHEST POSSIBLE INTEGRITY.  
9 THAT' S CLEARLY THE REMIT OF THE ORGANIZATIONS WE  
10 WORK WITH AS WELL.

11 SO WE HAD A TRAINING SESSION WHICH WAS LED  
12 BY JOHN GALLAND FROM THE OFFICE OF RESEARCH  
13 INTEGRITY AT THE U. S. DEPARTMENT OF HEALTH AND HUMAN  
14 SERVICES. AND THAT COVERED THE FEDERAL POLICY  
15 REGARDING RESEARCH INTEGRITY, CURRENT PROGRAMS TO  
16 ADVANCE EXCELLENCE IN RESEARCH PRACTICE, PROCEDURES,  
17 PROCESS, AND METHODS FOR ADDRESSING ALLEGATIONS OF  
18 MANIPULATION OF SCIENTIFIC IMAGES, ONE OF THE REALLY  
19 DIFFICULT AREAS THAT THERE IS, BUT IMPORTANTLY, YOU  
20 NEED TO BE AWARE OF THOSE THINGS, AND THE ROLE OF  
21 FUNDING ORGANIZATIONS, PUBLISHERS, AND INSTITUTIONS  
22 IN PROMOTING GOOD RESEARCH PRACTICE.

23 SO IT WAS A HELP TO US TO HAVE OUR STAFF  
24 INVOLVED WITH SOME PEOPLE WHO THINK ALL THE TIME IN  
25 THIS SPACE ABOUT RESEARCH INTEGRITY.

## BARRISTERS' REPORTING SERVICE

1           UPCOMING WORKSHOPS ARE SUMMARIZED HERE.  
2       THERE'S THE SCNT WORKSHOP ON JUNE 13TH AND 14TH, THE  
3       ISSCR-CIRM INTERNATIONAL SOCIETY FOR CELLULAR  
4       THERAPY, THE CLINICAL TRIALS REGULATORY  
5       HARMONIZATION WORKSHOP ON JUNE 15TH IN SAN  
6       FRANCISCO. THE ISSCR ANNUAL MEETING IS IN SAN  
7       FRANCISCO. THAT'S WHY THESE WORKSHOP ARE AROUND  
8       THAT. AND WE HAVE PLANNED MEETINGS WITH SPAIN, NEW  
9       YORK, AND THE NETHERLANDS LATER IN THE YEAR, AND  
10      WE'RE HOPING TO GET A WORKSHOP UP ON IPS CELL  
11      BANKING LATER IN THE YEAR, THE THIRD OR FOURTH  
12      QUARTER. SO SOME IDEA OF WHAT WE'RE DOING WITH  
13      WORKSHOPS WITH OUR CONSTITUENTS.

14           THE BRIDGES PROGRAM 2010 TRAINEE MEETING  
15      IS GOING TO BE HELD JULY 8TH AND 9TH IN SAN  
16      FRANCISCO. IT'S AN ANNUAL MEETING FOR THE BRIDGES  
17      TRAINEES, PROGRAM DIRECTORS, AND TRAINEE MENTORS.  
18      IT WILL FEATURE POSTER PRESENTATION BY THE TRAINEES,  
19      GUEST SPEAKERS, NETWORKING, AND EDUCATION SESSIONS.  
20      THESE ARE BEING MANAGED BY GIL SAMBRANO AND MIKE  
21      YAFFE. AND ANYBODY WHO'S INTERESTED IN THIS  
22      PARTICULAR PART OF OUR WORK IS WELCOME TO COME  
23      ALONG. IT'S GOING TO BE, I THINK, 200 PEOPLE THERE;  
24      IS THAT RIGHT?

25           DR. SAMBRANO: 150 TO 200.

## BARRISTERS' REPORTING SERVICE

1 DR. TROUNSON: ONE HUNDRED FIFTY TO 200  
2 YOUNG PEOPLE FROM THE BRIDGES PROGRAM. THE PEOPLE  
3 I'VE MET ARE A REAL BUZZ. THEY'RE FANTASTIC.  
4 THEY'VE REALLY GOT THE -- THEY'RE SUPERCHARGED.  
5 THEY REALLY ARE. THEY'RE IN GREAT SHAPE AND THEY'RE  
6 VERY, VERY ENTHUSIASTIC. SO IT WILL BE SPIRITUALLY  
7 AROUSING, I THINK, TO SEE THESE YOUNG PEOPLE REALLY  
8 GOING FOR IT. WE'VE GOT THEM TOGETHER RATHER THAN  
9 WITH THE BIG HIERARCHY OF SCIENTISTS WHERE THEY TEND  
10 TO BE ONLY SMALL CHICKENS IN THE FIELD. THESE WILL  
11 FEEL THAT THEY'RE MAKING THEIR CONTRIBUTIONS AMONGST  
12 THEIR PEERS. SO I THINK IT'S A GREAT PROGRAM, AND  
13 I'M REALLY PLEASED THAT GIL AND MIKE HAVE GOT THIS  
14 ONE OFF TO GIVE THEM A FORUM TO WORK TOGETHER IN.

15 SO NOW CAN I ASK MARGARET FERGUSON TO  
16 BRIEFLY UPDATE YOU ON THE BUDGET, AND THEN JOHN  
17 ROBSON WILL GIVE YOU JUST ONE SLIDE, ONE OR TWO  
18 SLIDES ON HOW OUR FINANCES LOOK FOR THE FUTURE.

19 MS. FERGUSON: GOOD AFTERNOON, MEMBERS OF  
20 THE ICOC, CIRM STAFF, AND THE PUBLIC. I'M HERE  
21 AGAIN TODAY TO PRESENT AN UPDATE ON THE FISCAL YEAR  
22 2009-10 CIRM SUPPORT BUDGET AND EXPENDITURES THROUGH  
23 MARCH 31ST.

24 ON THE CHART BEFORE YOU, I'LL GO THROUGH  
25 THIS AGAIN, THE BLUE BARS INDICATE OUR APPROVED

## BARRISTERS' REPORTING SERVICE

1 '09-'10 BUDGET; ORANGE REFLECTS THE EXPENDITURES  
2 POSTED THROUGH MARCH 2010; AND THE YELLOW IS THE  
3 BALANCE THAT IS AVAILABLE FOR EXPENDITURE THROUGH  
4 JUNE 30, 2010. AS INDICATED BY THE BARS ON THE  
5 RIGHT SIDE OF THE CHART, THROUGH MARCH 31ST WE HAVE  
6 RECORDED TOTAL EXPENDITURES OF \$7.5 MILLION AGAINST  
7 OUR \$12.9 MILLION APPROVED BUDGET, LEAVING A BALANCE  
8 OF \$5.4 MILLION. THE MIDDLE GROUP OF BARS INDICATES  
9 THAT 2.5 MILLION OF THE 5.5 APPROVED ALLOCATION FOR  
10 OPERATING EXPENDITURES AND EQUIPMENT, THAT INCLUDES,  
11 BUT NOT LIMITED TO, INTERAGENCY AGREEMENTS,  
12 CONTRACTS, MEETINGS, INFORMATION TECHNOLOGY, TRAVEL,  
13 SUPPLIES, TRAINING, AND COMMUNICATIONS SERVICES HAS  
14 BEEN EXPENDED, LEAVING A BALANCE OF \$3 MILLION.

15 ON THE LEFT SIDE OR THE LAST GROUP OF BARS  
16 THERE INDICATES \$5.1 MILLION OF OUR \$7.5 MILLION  
17 BUDGET ALLOCATION FOR SALARIES AND BENEFITS HAS BEEN  
18 EXPENDED, LEAVING A BALANCE OF \$2.3 MILLION.

19 NOW, ON THE BUDGET SUMMARY BEFORE YOU, YOU  
20 WILL NOTE THAT WE HAVE EXPENDED 69 PERCENT OF OUR  
21 SALARIES AND BENEFITS AND 45 PERCENT OF OUR  
22 OPERATING EXPENDITURE AND EQUIPMENT ALLOCATION. OUR  
23 OVERALL BUDGET ALLOCATION HAS -- THE SUMMARY  
24 INDICATES THAT 59 PERCENT HAS BEEN SPENT THROUGH  
25 MARCH 31ST. LET'S PUT IT THIS WAY. NOT SPENT, BUT

## BARRISTERS' REPORTING SERVICE

1 RECORDED THROUGH MARCH 31ST BECAUSE WHEN WE TAKE  
2 INTO CONSIDERATION THAT RIGHT NOW I HAVE ABOUT  
3 \$970,000 IN LAGS FOR GOODS AND SERVICES THAT WERE  
4 RENDERED THROUGH MARCH AND NOT YET PROCESSED OR  
5 RECORDED, THE OPERATING EXPENDITURES AND EQUIPMENT  
6 ALLOCATION WILL INCREASE TO 62 PERCENT, AND OUR  
7 OVERALL BUDGET SUMMARY WILL REFLECT THAT OUR  
8 EXPENDITURES HAVE INCREASED TO 66 PERCENT THROUGH  
9 MARCH 31ST.

10 AT THIS TIME WE ARE SHOWING AN OVERALL  
11 SAVINGS OF 9 PERCENT BECAUSE IF WE WERE RIGHT ON  
12 TARGET, WE WOULD HAVE SPENT 75 PERCENT OF OUR BUDGET  
13 AT THIS POINT IN TIME. THE SALARIES AND BENEFITS  
14 WOULD REFLECT THE 6-PERCENT SAVINGS AS WELL AS OUR  
15 OPERATING EXPENSE AND EXPENDITURES WOULD HAVE A  
16 13-PERCENT SAVINGS. HOWEVER, AS WE ENTER THE FOURTH  
17 AND FINAL QUARTER OF THE FISCAL YEAR, OUR  
18 PRELIMINARY PROJECTIONS INDICATE THAT WE'LL HAVE A  
19 FINAL SAVINGS OF ABOUT 7 PERCENT IN SALARIES AND  
20 BENEFITS AND AN APPROXIMATE SAVINGS OF 8 PERCENT IN  
21 OPERATING EXPENDITURES FOR AN OVERALL, AT THIS POINT  
22 AN OVERALL PROJECTED SAVINGS OF 8 PERCENT.

23 I STAND OPEN FOR ANY QUESTIONS THAT YOU  
24 MAY HAVE.

25 CHAIRMAN KLEIN: MARGARET, IS IT



## BARRISTERS' REPORTING SERVICE

1 APPROPRIATE TO SAY THAT ONE OF THE SIGNIFICANT  
2 DIFFERENCES IN BUDGET VERSUS ACTUAL IS THAT IN  
3 TRYING TO GET THE ABSOLUTE CORRECT HIRES FOR  
4 IMPORTANT POSITIONS, THAT WE HAVE BEEN HIRING SLOWER  
5 THAN PROJECTIONS?

6 MS. FERGUSON: ABSOLUTELY.

7 CHAIRMAN KLEIN: ANY OTHER QUESTIONS OR  
8 CLARIFICATIONS? WITHOUT THAT, WE THANK YOU VERY  
9 MUCH FOR YOUR REPORT. AND DR. ROBSON.

10 DR. ROBSON: THANK YOU, CHAIRMAN KLEIN.  
11 THIS WILL BE QUITE BRIEF. I'M JUST GOING TO GIVE  
12 YOU A QUICK SYNOPSIS OF OUR OVERALL FINANCIAL  
13 SITUATION RIGHT NOW. SO IF I COULD HAVE THE FIRST  
14 SLIDE.

15 WHAT'S INCLUDED IN THESE PROJECTIONS IS  
16 EVERYTHING THAT'S BEEN APPROVED BY THE ICOC TO DATE  
17 AND IT'S IN PROGRESS ALONG WITH PROGRAMS THAT HAVE  
18 BEEN THROUGH CONCEPT APPROVAL THAT ARE LISTED THERE  
19 BELOW. I REALIZE THE TOOLS AND TECHNOLOGIES IS NOT  
20 ON THAT LIST. THAT'S ALSO INCLUDED IN HERE. THAT  
21 WAS AT \$40 MILLION. SO THESE ARE THE PROGRAMS THAT  
22 HAVE BEEN THROUGH CONCEPT APPROVAL AND ARE AT  
23 VARIOUS STAGES OF RFA DEVELOPMENT OR IN THE REVIEW  
24 PROCESS. IN FACT, THE NUMBERS THAT I'M GOING TO  
25 SHOW YOU IN THE NEXT SLIDE IN JUST A SECOND WILL

## BARRISTERS' REPORTING SERVICE

1 PROBABLY CHANGE TOMORROW BECAUSE -- OR TODAY WHEN  
2 YOU REVIEW BASIC BIOLOGY, WHICH HERE IS TARGETED AT  
3 30 MILLION. SO THE FIGURES I SHOW YOU NOW WILL  
4 CHANGE IF YOU FUND ABOVE OR BELOW \$30 MILLION.

5 SO THE NEXT SLIDE SUMMARIZES. YOU'VE ALL  
6 SEEN THESE GRAPHS MANY TIMES BEFORE. THERE ARE TWO  
7 CHANGES THAT I'D LIKE TO POINT OUT FROM THE LAST  
8 TIME. THE FIRST ONE AND PERHAPS THE MOST  
9 SIGNIFICANT ONE IS THANKS TO THE EFFORT OF CHAIRMAN  
10 KLEIN AND MEMBERS OF HIS STAFF WHO INTERACT WITH THE  
11 TREASURER'S OFFICE, ESPECIALLY LYNN HARWELL, SCOTT  
12 TOCHER, AND JAMES HARRISON, THE TREASURER'S OFFICE  
13 DID A GENERAL OBLIGATION BOND SALE IN THE LAST MONTH  
14 AND SOLD SOME BONDS FOR OUR BENEFIT, AND WE NETTED  
15 \$112 MILLION OUT OF THAT.

16 SO YOU WILL SEE THERE THE BAR FOR  
17 APRIL-JUNE 2010 SHOWS AN INCREASE OF \$112 MILLION.  
18 SO THAT'S TERRIFIC NEWS FOR US AS IT ALWAYS IS WHEN  
19 WE GET ADDITIONAL FUNDS.

20 THE OTHER DIFFERENCE BETWEEN THIS AND THE  
21 LAST GRAPH THAT I SHOWED YOU IS I TRY TO KEEP  
22 PROJECTING OUT ABOUT 18 MONTHS, AND SO WE'VE  
23 EXTENDED OUR PROJECTIONS NOW. LAST TIME WE JUST  
24 SHOWED YOU TO THE END OF THE FISCAL YEAR. THAT WAS  
25 TO THE END OF JUNE 2011. NOW WE'RE PROJECTING OUT

## BARRISTERS' REPORTING SERVICE

1 TO THE END OF THE CALENDAR YEAR 2011; THAT IS, TO  
2 THE END OF DECEMBER. YOU SEE WE NOW HAVE ENOUGH  
3 MONEY BASED ON THE GREEN LINE TO CARRY US UNTIL THE  
4 END OF THE CALENDAR YEAR OF 2011.

5 SO THAT'S WHAT I HAD TO SHOW YOU TODAY. I  
6 THINK THAT'S VERY GOOD NEWS FOR US. LOOKS GOOD FOR  
7 OUR PROGRAMS OVER THE SHORT TERM, THE NEAR TERM.  
8 THANK YOU. ANY QUESTIONS?

9 CHAIRMAN KLEIN: I'D LIKE TO RECOGNIZE  
10 THAT ART TORRES HAS MADE A TREMENDOUS CONTRIBUTION  
11 TO THAT EFFORT.

12 MR. TORRES: APPARENTLY MR. ROBSON DOESN'T  
13 REALIZE THAT. I HAVE TO REMIND HIM.

14 CHAIRMAN KLEIN: IT'S ALSO IMPORTANT TO  
15 RECOGNIZE THE GOVERNOR'S OFFICE, THE DEPARTMENT OF  
16 FINANCE HAVE BEEN WONDERFUL AND IN WORKING IN A  
17 PARTNERSHIP WITH THE TREASURER'S OFFICE ON REALLY  
18 RECOGNIZING THAT THE AGENCY HAS INTERNATIONAL  
19 COLLABORATIONS. WITH THE DIFFICULT NEWS ABOUT  
20 CALIFORNIA AND OUR BUDGET CHALLENGES, IT'S BEEN VERY  
21 IMPORTANT FOR THE LEVERAGE, THE FINANCIAL LEVERAGE,  
22 WE'VE OBTAINED FOR CALIFORNIA TO BE ABLE TO SHOW  
23 THAT WE HAVE THE FUNDING THAT GOES OUT 18 MONTHS, SO  
24 THEY HAVE THE WILLINGNESS TO MAKE REALLY HARD  
25 CHOICES AND COMMITMENTS TO THE TEAMS, THE BILATERAL

## BARRISTERS' REPORTING SERVICE

1 FUNDING TEAMS THAT ARE COMPLEMENTING CALIFORNIA  
2 SCIENTISTS.

3 IT'S ALSO IMPORTANT TO NOTE THAT IN OUR  
4 OWN STRATEGIES WE'RE GOING TO BE HAVING THE CIRM  
5 REVIEW THIS YEAR, AND WE MAY WELL HAVE SOME PENDING  
6 OPTIONS FOR THAT IN THAT REVIEW THAT COME UP FOR  
7 SUGGESTED PRIORITIES OR ACCELERATIONS OF PROGRAMS  
8 THAT'S GOING TO HAVE -- THE PRESIDENT'S GOING TO  
9 HAVE THE ABILITY TO RESPOND TO THOSE BECAUSE WE ARE  
10 GOING TO HAVE THE FUNDS AVAILABLE TO DO SO.

11 AND FINALLY, AN ISSUE WILL COME UP THAT  
12 THERE'S CERTAIN OPTIONS NOW ON THE TABLE THAT THE  
13 PRESIDENT IS LOOKING AT THAT WE'VE ADVISED YOU AT A  
14 PRIOR MEETING THAT WE KNOW BOTH THE PUBLIC AND  
15 PRIVATE UNIVERSITIES AND NONPROFIT INSTITUTIONS IN  
16 CALIFORNIA WITH TIGHT BUDGETS BECAUSE OF THE ECONOMY  
17 AND DONOR CUTBACKS AS WELL AS STATE BUDGET CUTBACKS,  
18 THERE'S ISSUES ABOUT WHETHER THE FUNDS ARE AVAILABLE  
19 TO FILE PATENTS AND TO PROSECUTE PATENTS ON ALL OF  
20 THE OPPORTUNITIES THAT ARE THERE. AND SO THESE CASH  
21 FLOWS HAVE AN ALLOWANCE, ALTHOUGH NOT PROJECTED IN  
22 THE NUMBERS YOU'VE LOOKED AT, THAT WILL BE DISCUSSED  
23 LATER FOR POTENTIALLY CO-FUNDING WITH INSTITUTIONS  
24 SO THEY CAN PROSECUTE PATENTS AND PROVIDE PATENT  
25 FILINGS, FOR EXAMPLE, INTERNATIONALLY WHERE THEY

## BARRISTERS' REPORTING SERVICE

1 OTHERWISE BECAUSE OF CUTBACKS WOULD NOT BE ABLE TO.

2 IT'S VERY IMPORTANT TO US IN HAVING OUR  
3 PATENTS FOLLOWED UP AND OUR IP PROTECTED BECAUSE OUR  
4 IP IS REALLY OUR WAY TO PROTECT OUR ACCESS POLICIES,  
5 OUR CALIFORNIA RX PRICING PROGRAMS, AND TO MAKE  
6 CERTAIN THAT WE HAVE BENEFIT FOR CALIFORNIA AND THE  
7 CALIFORNIA TAXPAYERS OF THE VISION THEY'VE  
8 ILLUSTRATED HERE IN THEIR COMMITMENT.

9 SO HAVING CASH AVAILABLE IS GIVING US SOME  
10 STRATEGIC OPTIONS THAT THE PRESIDENT, I'M SURE, WILL  
11 ADDRESS BOTH IN UPCOMING MEETINGS AS WELL AS WITH  
12 THE BENEFIT OF THE ADVICE FROM THE REVIEW BOARD.

13 MR. SHEEHY: JUST ONE QUESTION. COULD  
14 THESE SLIDES BE MADE AVAILABLE TO THE BOARD? WE  
15 ONLY SEE THEM. I THINK I'VE ASKED EVERY TIME WE'VE  
16 HAD THIS TO GET ONE, AND I'VE NEVER BEEN GIVEN A  
17 COPY OF THIS SLIDE. COULD THEY ALSO BE MADE  
18 AVAILABLE TO THE PUBLIC? I THINK THESE ARE PUBLIC  
19 DOCUMENTS THAT SHOULD BE AVAILABLE. BUT ARE THEY  
20 POSTED?

21 MS. KING: NOT YET. NOW THAT I HAVE THEM,  
22 I'M HAPPY TO SEND THEM TO THE BOARD.

23 MR. SHEEHY: IT'S JUST HELPFUL TO HAVE.  
24 IT WOULD BE GREAT IF THEY CAME IN OUR PACKET.

25 CHAIRMAN KLEIN: I THINK SOME OF THOSE

## BARRISTERS' REPORTING SERVICE

1 WERE JUST DEVELOPED AND REFINED AT THE VERY LAST 48  
2 HOURS. BUT IT'S A VERY GOOD PRACTICE, AND WE CAN  
3 JUST FOLLOW THAT PRACTICE PROACTIVELY GOING FORWARD.

4 MR. ROTH: I HAD TWO QUESTIONS, ALAN, FOR  
5 YOU ON YOUR REPORT. FIRST, I'M INTERESTED TO KNOW  
6 IN YOUR COMPLIANCE MEETINGS, YOU SAID YOU HAD THREE,  
7 I THINK, THROUGHOUT THE STATE, AND YOU ALSO  
8 MENTIONED THAT WE HAVE SIGNIFICANT REGULATIONS.  
9 WERE THERE ONE OR TWO THINGS THAT SEEMED TO BE OF  
10 GREATEST CONCERN TO OUR GRANTEES?

11 DR. TROUNSON: I WONDERED IF -- WHO WAS AT  
12 ALL OF THOSE COMPLIANCE MEETINGS? IAN. I JUST WANT  
13 TO MAKE SURE I GET YOU THE DETAIL OF WHAT YOU ARE  
14 ASKING, DUANE.

15 MR. SWEEDLER: GOOD AFTERNOON. IT WAS  
16 VERY DETAILED AND VERY TECHNICAL, WHAT LINE ON A  
17 REPORT IS THE RIGHT PLACE TO PUT THIS. IT WAS THE  
18 KIND OF NITTY-GRITTY STUFF THAT PEOPLE HAVE  
19 QUESTIONS AND THEY WANT TO DO IT RIGHT. THEY  
20 WEREN'T REALLY POLICY ISSUES.

21 THERE WAS ALSO GEOFF LOMAX WENT OVER THE  
22 ISSUES ADDRESSED IN THE RECENT BOARD MEETING ABOUT  
23 CLARIFYING THE POLICY ON CELL LINES. AND THEN THERE  
24 WAS SOME GOOD FEEDBACK ABOUT THINGS THAT OUR  
25 GRANTEES WERE FINDING TO BE ADMINISTRATIVELY

## BARRISTERS' REPORTING SERVICE

1 BURDENSOME THAT WE HADN' T REALIZED WERE BURDENSOME.  
2 AND SO THERE WERE MESSAGES THAT WE TOOK BACK ABOUT  
3 HOW TO STREAMLINE THINGS.

4 I WOULDN' T SAY THAT THERE WAS ANY ONE  
5 PARTICULAR ISSUE THAT STOOD OUT, BUT IT' S BEEN VERY  
6 HELPFUL TO SEE HOW SOME OF THESE THINGS WORK IN  
7 PRACTICE, SO WE COULD SEE IF THEY' RE DOING WHAT WE  
8 EXPECT THEM TO DO. SO IT WAS VERY MUCH A TWO-WAY  
9 COMMUNICATION.

10 MR. ROTH: THANKS. I THINK IT' S IMPORTANT  
11 IF THERE ARE POLICIES AND PROCEDURES THAT ARE VIEWED  
12 AS ONEROUS OR IMPEDIMENTS, THAT IF WE CAN HELP  
13 CLARIFY SOME OF THOSE, WE SHOULD.

14 DR. TROUNSON: RIGHT. THEY TEND TO  
15 EVOLVE -- A LOT OF IT HAS BEEN TENDING TO EVOLVE OUT  
16 OF THE PARTICULAR REVIEWS THAT ARE DONE WITH EACH  
17 INSTITUTION, BUT WE THOUGHT MAYBE WE SHOULD GET ON  
18 THE ROAD AND ACTUALLY HAVE THE OPPORTUNITY FOR  
19 PEOPLE TO COME IN IN A GENERIC WAY AND GET IT AS  
20 WELL. WE STILL DO, WE DO THE VISITS. THEY' RE DONE  
21 BY GEOFF AND HIS TEAM. AND THAT' S SUPPLEMENTARY TO  
22 THE SCIENCE OFFICERS GOING OUT THERE AS WELL.

23 SO THERE' S A LOT OF IT, BUT THIS WAS A  
24 MORE FORMAL WAY OF GETTING FEEDBACK FROM THE BROAD  
25 SPECTRUM OF ALL OF THE GRANTEES.

## BARRISTERS' REPORTING SERVICE

1 MR. ROTH: SIMILAR TO WHAT JEFF JUST ASKED  
2 FOR, I THINK IT'S ALWAYS HELPFUL FOR US TO HAVE THE  
3 ONE OR TWO THINGS SO WE CAN BE AWARE IN CASE THEY  
4 GET RAISED WITH US THAT THESE ARE ISSUES.

5 DR. TROUNSON: I THOUGHT MY TALK USUALLY  
6 GOES ON THE -- I UNDERSTOOD FROM PAT THAT IT  
7 NORMALLY GOES ON.

8 MS. KING: AFTER THE MEETING.

9 DR. TROUNSON: I HAVE A TERRIBLE PROBLEM  
10 OF DOING IT IN TIME TO GET IT POSTED THE WEEK  
11 BEFORE. THAT'S JUST MY -- THAT'S MY TERRIBLE HABIT  
12 OF BEING LATE WITH THESE THINGS, AND I APOLOGIZE.

13 MR. ROTH: THAT GETS JOHN SIMPSON VERY  
14 UPSET. HE'S SITTING BACK THERE TODAY.

15 THE SECOND QUESTION IS AROUND THE CLINICAL  
16 HARMONIZATION WORKSHOP. WILL THE FDA AND EMA AND  
17 OTHER REGULATORY AGENCIES BE REPRESENTED THERE?

18 DR. TROUNSON: THEY WILL BE. AND THEY'RE  
19 GOING TO BE SPEAKING, AND THEY'RE GOING TO BE  
20 REPRESENTED IN QUITE NUMBERS. EMA IS COMING. ALSO  
21 MEMBERS OF ORGANIZATIONS THAT HAVE THAT REGULATORY  
22 ROLE IN CHINA, SOUTH AMERICA, AND INDIA PROBABLY. I  
23 EVEN SENT A LETTER TO THE PRESIDENT OF RUSSIA, AND I  
24 DIDN'T GET A LETTER BACK FROM HIM, BUT THINGS ARE  
25 HAPPENING IN THAT SPACE.



## BARRISTERS' REPORTING SERVICE

1 MR. ROTH: HOPEFULLY, IN ADDITION TO  
2 SPEAKING, THEY' LL BE LISTENING BECAUSE THIS IS SUCH  
3 AN IMPORTANT ISSUE HAVING TO DO SEPARATE CLINICAL  
4 TRIALS WHICH TAKE TIME AND DELAY THERAPIES FROM  
5 GOING FORWARD IS SUCH A BIG ISSUE.

6 DR. TROUNSON: I HOPE MEMBERS OF THE BOARD  
7 THAT ARE INTERESTED IN THIS WILL COME TO THE  
8 WORKSHOP. I WOULD REALLY ENCOURAGE YOU TO DO THAT.  
9 ELONA AND I HAVE BEEN INTERVIEWING A VERY LARGE  
10 NUMBER OF THE COMMERCIAL COMPANIES WHO ARE IN THIS  
11 REGENERATIVE MEDICINE SPACE FOR STEM CELLS. AND  
12 IT'S BEEN A REAL EYE-OPENER TO GET THEIR RESPONSE.  
13 WHAT WE WANT TO DO IS GET THEIR FEEDBACK AND THEN  
14 PUT IT IN A SORT OF MORE GENERIC WAY. IT'S  
15 INTERESTING HOW SOME STEM CELLS ARE SEEMINGLY  
16 GETTING THROUGH RELATIVELY EASILY, PARTICULARLY  
17 THOSE CELLS THAT DON'T LAST VERY LONG IN THE BODY.  
18 THEY DON'T SEEM TO WORRY THE REGULATORS SO MUCH AS  
19 CELLS THAT ARE GOING TO BE IN THE BODY AND THEN  
20 CONTRIBUTE TO DEVELOPMENT OF TISSUE.

21 BUT AS PART OF THAT WE'RE GOING TO PRESENT  
22 A PRESENTATION ON WHAT WE'VE GOT FROM GOING THROUGH  
23 ALL OF THESE COMPANIES AND LOOKING AT WHAT THE  
24 SITUATION HERE IS IN THE U.S. AS AGAINST WHAT'S  
25 GOING ON IN EUROPE AND IN OTHER PLACES.

## BARRISTERS' REPORTING SERVICE

1 I THINK IT'S HELPFUL, BUT I'D HAVE TO SAY  
2 THERE'S A LOT OF SUPPORT FROM THE FDA IN GETTING TO  
3 UNDERSTAND THIS AREA BETTER BECAUSE IT'S ONE WHICH  
4 THEY'RE NOT -- THEY HAVEN'T REALLY WELL REHEARSED,  
5 AND THERE ARE ONLY A FEW COMPANIES, AS YOU KNOW,  
6 THAT HAVE BEEN UP THIS WHOLE PATHWAY. AND MANY OF  
7 THOSE ONES ARE THE PLURIPOTENTIAL STEM CELLS ARE ALL  
8 STILL HELD UP, AND WE'RE STARTING TO UNDERSTAND  
9 BETTER WHAT ARE THE CONCERNS AND WHAT ARE THE ISSUES  
10 FOR THOSE COMPANIES IN DOING THAT.

11 CHAIRMAN KLEIN: DR. TROUNSON, BECAUSE WE  
12 ARE AUDIOCASTING THIS, FOR THE BENEFIT OF THE  
13 PUBLIC, YOU MIGHT EXPLAIN THE EMA, EUROPEAN --

14 DR. TROUNSON: LET ME GET GENERAL COUNSEL  
15 TO GIVE YOU A PROPER DEFINITION.

16 MS. BAUM: THEY RECENTLY DROPPED THE  
17 SECOND E, AND SO NOW IT'S THE EUROPEAN MEDICINE  
18 AGENCY.

19 DR. TROUNSON: RESPONSIBLE FOR THE  
20 REGULATION RECOMMENDATIONS IN EUROPE. WHAT  
21 HAPPENS --

22 CHAIRMAN KLEIN: COMPARABLE TO THE FDA.

23 DR. TROUNSON: WELL, NOT QUITE BECAUSE  
24 STILL INDIVIDUAL COUNTRIES STILL HAVE THEIR  
25 SOVEREIGNTY WITH RESPECT TO THAT, BUT I THINK THEY

## BARRISTERS' REPORTING SERVICE

1 TAKE A LOT OF LEADERSHIP FROM WHAT THE EMA IS  
2 RECOMMENDING.

3 MS. BAUM: THERE IS A CENTRALIZED  
4 PROCEDURE UNDER WHICH BIOLOGICS AND NOW STEM CELLS  
5 WILL HAVE TO GO THROUGH TO GET APPROVAL THROUGH THE  
6 EMA.

7 CHAIRMAN KLEIN: THANK YOU. I'D LIKE TO  
8 RECOGNIZE ONE OF THE GREAT EARLY CONTRIBUTORS TO  
9 THIS AGENCY, DR. ARLENE CHIU, WHO IS PRESENT.

10 (APPLAUSE.)

11 CHAIRMAN KLEIN: ADDITIONAL BOARD  
12 COMMENTS?

13 DR. PIZZO: THIS MAY BE PERHAPS REDUNDANT  
14 WITH WHAT YOU'RE ALREADY DOING, ALAN, BUT ABOUT A  
15 COUPLE MONTHS AGO I PARTICIPATED ONE OF THE IOM'S  
16 DRUG FORA THAT WAS ON REGULATORY SCIENCE. AND IT  
17 WAS WELL REPRESENTED, OF COURSE, BY THE FDA AND NIH  
18 AND INDUSTRY. AND THAT MAY BE ANOTHER ACCESS POINT  
19 FOR THIS BECAUSE I THINK IT BRINGS -- IT'S A GOOD  
20 LEVELER OF INTERESTS AND MAY HELP THIS WHOLE AGENDA  
21 GO FORWARD.

22 DR. TROUNSON: EXACTLY RIGHT, PHILIP. AND  
23 ELONA IS PARTICIPATING IN THAT ORGANIZATION. I HAVE  
24 BEEN APPROACHED BY THAT ORGANIZATION TO TAKE A MORE  
25 FORMAL ROLE. I'M IN DISCUSSION WITH THE CHAIR ABOUT

## BARRISTERS' REPORTING SERVICE

1 WHETHER I SHOULD OR I SHOULDN' T. BUT, YES, I MET  
2 RECENTLY WITH THE CHAIR OF THAT ORGANIZATION, AND WE  
3 ARE DOING THINGS IN SYNC, IF YOU LIKE. WE TEND TO  
4 BE THE MORE SCIENTIFIC ASPECT. THEY TEND TO BE A  
5 BIT MORE THE POLITICO-REGULATORY ASPECT, BUT  
6 TOGETHER I THINK WE BRING A REASONABLY SAME WAY OF  
7 ADDRESSING, AS YOU SUGGEST, THE ENTIRE AREA.

8 CHAIRMAN KLEIN: SO I'D LIKE TO ALSO SAY,  
9 DR. TROUNSON, THAT I'D LIKE TO ASSURE YOU AS THE  
10 PRESIDENT IN YOUR OWN TERMS THAT AS FAR AS I KNOW  
11 FROM ALL OF THE BOARD MEMBERS, WE ARE ALREADY VERY  
12 SPIRITUALLY AROUSED BY STEM CELLS.

13 DR. PIZZO: BE CAREFUL WITH THAT  
14 STATEMENT.

15 CHAIRMAN KLEIN: SO I HOPE YOU WILL TAKE  
16 COMFORT IN THAT. BUT SECONDLY, THIS ORGANIZATION, I  
17 THINK, HAS BEEN KNOWN FOR ITS CREATIVITY. NO ONE  
18 HAS EVER ACCUSED US OF HOLDING BACK FROM CREATIVELY  
19 LOOKING AT THE ALTERNATIVES.

20 IT IS INTERESTING THE ORIGAMI CRANE IS, IN  
21 FACT, THE SYMBOL THAT HIROSHIMA TOOK FOR PEACE AND  
22 NUCLEAR DISARMAMENT AS A SYMBOL FOR A BETTER WORLD.  
23 AND PERHAPS WE CAN CREATE A SYMBOL FOR STEM CELL  
24 RESEARCH AS A SCIENTIFIC BRIDGE TO A WORLD WITH LESS  
25 HUMAN SUFFERING.

## BARRISTERS' REPORTING SERVICE

1 BUT IN THAT REGARD, ALTHOUGH I HAVE A  
2 GREAT PERSONAL COMMITMENT AND WITH DUE DEFERENCE TO  
3 THE PRESIDENT, I WANT TO BE ON THE RECORD THAT I  
4 HAVE NOT MADE ANY COMMITMENT TO, IN HIS WORDS, JUMP  
5 LIKE KANGAROOS TO REACH THAT GOAL, BUT WE DO  
6 APPRECIATE YOUR CREATIVITY IN YOUR REPORT.

7 LIKE TO GO ON. WE HAVE A QUORUM PRESENT,  
8 AND I'D LIKE TO GO ON TO THE CONSIDERATION OF THE  
9 MINUTES FROM THE PAST ICOC MEETINGS. AGENDA ITEM  
10 NO. 6. IS THERE A MOTION TO APPROVE?

11 DR. BLOOM: SO MOVED.

12 DR. LEVEY: SECOND.

13 CHAIRMAN KLEIN: IS THERE DISCUSSION? IS  
14 THERE DISCUSSION FROM THE PUBLIC? ALL IN FAVOR.

15 (CHORUS OF AYES.)

16 CHAIRMAN KLEIN: OPPOSED. SHOW THE MOTION  
17 PASSES.

18 WE ARE GOING TO MOVE ITEM NO. 7 TO OUR  
19 DISCUSSION TOMORROW. AND WE HAVE SOME MEMBERS  
20 PARTICIPATING TOMORROW THAT ARE IMPORTANT TO THAT  
21 DISCUSSION, AND THAT DECISION HAS BEEN MADE IN  
22 CONSULTATION WITH VICE CHAIR TORRES.

23 WE ARE ALSO FOR THE SAME REASONS MOVING  
24 ITEM NO. 8 TO TOMORROW.

25 AGENDA ITEM NO. 9, MR. PRESIDENT, WOULD

## BARRISTERS' REPORTING SERVICE

1 YOU LIKE ELONA BAUM TO MAKE THAT PRESENTATION?

2 DR. TROUNSON: I WOULD LIKE THE GENERAL  
3 COUNSEL TO PRESENT THIS ITEM TO THE BOARD.

4 MS. BAUM: CHAIR, MEMBERS OF THE BOARD,  
5 THANK YOU FOR YOUR CONSIDERATION OF THIS MATTER. I  
6 AM VERY PLEASED TO SEE THAT NINI HAS CORRECTED MY  
7 SLIDES BECAUSE MINE SAID TAB NO. 8. THE MATTER IS  
8 ACTUALLY SET FORTH IN TAB NO. 9 IN YOUR BINDERS.

9 I ONLY HAVE TWO SLIDES WHICH SUMMARIZES  
10 THE SUM AND SUBSTANCE OF THE REQUEST THAT WE'RE  
11 MAKING TODAY. THIS MATTER IS TO SEEK PERMISSION OF  
12 THE BOARD TO CHANGE THE PREGNANCY LEAVE COMPENSATION  
13 FOR CIRM STAFF. AS YOU KNOW, UNDER PROP 71 THE ICOC  
14 MUST LOOK AT THE COMPARATOR INSTITUTIONS WHEN  
15 DECIDING ON THE APPROPRIATE RANGE FOR COMPENSATION.  
16 AND IN THAT LIGHT, WE TOOK A LOOK AT THE COMPARATOR  
17 INSTITUTIONS AS SET FORTH IN PROPOSITION 71, AND WE  
18 BELIEVE THAT THEY SUPPORT THE FOLLOWING REQUESTED  
19 CHANGE, WHICH IS SET FORTH IN THE NEXT SLIDE.

20 I FIGURED THAT I'D SET THE STAGE BY  
21 TELLING YOU WHAT THE CURRENT POLICY IS AND THEN  
22 REITERATING WHAT OUR RECOMMENDED CHANGE IS.  
23 CURRENTLY CIRM STAFF RECEIVE UNDER NDI, WHICH IS  
24 NONINDUSTRIAL DISABILITY INSURANCE, AN AVERAGE OF  
25 SIX WEEKS COVERAGE AT 50 PERCENT. AND CIRM DOES NOT

## BARRISTERS' REPORTING SERVICE

1 MAKE UP ANY OF THE DIFFERENCE IN THAT PAY. SO THEY  
2 RECEIVE 50 PERCENT.

3 WHAT WE ARE RECOMMENDING IS THAT FOR THE  
4 NDI -APPROVED PERIOD THAT WE PROVIDE, IN ESSENCE,  
5 CIRM STAFF UP TO 12 WEEKS OF PAID SALARY DURING THE  
6 NDI -APPROVED PERIOD. I JUST NOTE THAT THIS WOULD  
7 HAVE A VERY NEGLIGIBLE IMPACT ON THE OVERALL BUDGET.  
8 THAT'S THE SUM AND SUBSTANCE OF WHAT OUR  
9 RECOMMENDATIONS ARE TODAY. THANK YOU.

10 CHAIRMAN KLEIN: THANK YOU VERY MUCH. ANY  
11 QUESTIONS?

12 MR. SHEEHY: DOES THIS COVER PARENTAL  
13 LEAVE MORE GENERALLY?

14 MS. BAUM: GOOD QUESTION. I MEANT TO SAY  
15 THAT IN THE FUTURE WE WILL BE LOOKING AT FAMILY  
16 LEAVE OR NONPREGNANCY LEAVE.

17 MR. SHEEHY: SO IF YOU WERE AN ADOPTIVE  
18 PARENT?

19 MS. BAUM: THIS WOULD NOT COVER IT AT THIS  
20 TIME. WE WERE NOT ABLE TO CONDUCT THOSE STUDIES.  
21 QUITE FRANKLY, I'M NOT SO SURE THAT THE COMPARATOR  
22 INSTITUTIONS EVEN PROVIDE THAT BASED ON WHAT WE'VE  
23 SEEN TO DATE, BUT WE THOUGHT WE WOULD DIG DEEPER TO  
24 SEE.

25 CHAIRMAN KLEIN: I'D SAY THIS IS AN

## BARRISTERS' REPORTING SERVICE

1 I MPORTANT --

2 MR. TORRES: EXCUSE ME. DR. POMEROY.

3 CHAIRMAN KLEIN: YES, I WILL BE THERE IN  
4 JUST ONE MOMENT. THIS IS AN IMPORTANT ITEM THAT HAS  
5 COME UP IN EXECUTIVE MEETING. WE DISCUSSED THE FACT  
6 THAT UNDER THE INITIATIVE WE HAVE TO LOOK AT OUR  
7 COMPARABLE INSTITUTIONS IN TERMS OF HOW WE SET OUR  
8 POLICY. IT WOULD BE GREAT TO SEE COMPARABLE  
9 INSTITUTIONS IN THE STATE ADDRESS THIS ISSUE, WHICH  
10 WOULD MAKE IT EASIER FOR US TO ADDRESS IT UNDER THE  
11 RESTRICTIONS IN THE INITIATIVE.

12 DR. POMEROY: I JUST WANTED TO MENTION  
13 THAT AT LEAST THE UNIVERSITY OF CALIFORNIA DAVIS  
14 DOES HAVE A LEAVE POLICY FOR ADOPTION, AND WE'D BE  
15 GLAD TO PROVIDE THAT.

16 CHAIRMAN KLEIN: THAT'S VERY HELPFUL.  
17 THANK YOU VERY MUCH. MR. HARRISON.

18 MR. HARRISON: I JUST WANTED TO POINT OUT  
19 THERE IS A LEAVE POLICY THAT CIRM HAS. IT'S UNPAID  
20 LEAVE. AS ELONA SAID, WHAT WE'RE LOOKING INTO IS  
21 WHETHER THERE'S A WAY, BASED ON THE COMPARABLE  
22 INSTITUTIONS, THAT WE CAN PROVIDE SOME PAID FAMILY  
23 LEAVE UNDER THOSE CIRCUMSTANCES. AND THE BIG  
24 DIFFERENCE IS THAT MANY OF THESE INSTITUTIONS USE A  
25 PROGRAM CALLED SDI, WHICH IS AN EMPLOYEE



## BARRISTERS' REPORTING SERVICE

1 CONTRIBUTION; WHEREAS, CIRM USES NDI, WHICH IS PAID  
2 BY THE AGENCY.

3 SO IT'S SOMETHING THAT WE'RE INTERESTED IN  
4 PROVIDING. IT'S JUST GOING TO REQUIRE MORE LEGWORK  
5 ON OUR PART.

6 CHAIRMAN KLEIN: IF I COULD ASK DR.  
7 POMEROY, IS YOURS A PAID LEAVE PROGRAM OR AN UNPAID  
8 LEAVE PROGRAM? AND IS IT --

9 DR. POMEROY: I BELIEVE THERE'S A PAID  
10 COMPONENT TO IT.

11 CHAIRMAN KLEIN: THAT WILL BE VERY HELPFUL  
12 TO US TO LOOK TO. MIGHT BE GOOD FOR US TO CIRCULATE  
13 THAT INFORMATION MORE GENERALLY.

14 ADDITIONAL QUESTIONS? ALL RIGHT. IS  
15 THERE A MOTION TO APPROVE?

16 MR. TORRES: SO MOVED.

17 CHAIRMAN KLEIN: MOVED BY ART TORRES. IS  
18 THERE A SECOND?

19 DR. PRIETO: SECOND.

20 CHAIRMAN KLEIN: SECOND BY FRANCISCO  
21 PRIETO. DISCUSSION? DISCUSSION FROM THE PUBLIC?  
22 SEEING NONE, CALL THE QUESTION. ALL IN FAVOR.

23 (CHORUS OF AYES.)

24 CHAIRMAN KLEIN: OPPOSED? GREAT. I THINK  
25 IT'S A VERY IMPORTANT STEP FORWARD IN RECOGNIZING

## BARRISTERS' REPORTING SERVICE

1 THE CONTRIBUTION FROM OUR STAFF, AND I WOULD HOPE WE  
2 FOLLOW THROUGH ON JEFF'S SUGGESTION, WHICH WE HAVE  
3 DISCUSSED AND TRIED TO FIND SOME COMPARABLE  
4 SUBMISSIONS ON. AND DR. POMEROY'S INFORMATION WILL  
5 BE VERY HELPFUL. THANK YOU, DR. POMEROY.

6 WE WILL GO TO -- ARE WE -- DR. TROUNSON,  
7 ARE WE PREPARED TO GO TO AGENDA NO. 10?

8 DR. TROUNSON: YES. I THINK, MICHAEL,  
9 YOU'RE STEPPING RIGHT UP TO THE PODIUM TO MAKE THIS  
10 PRESENTATION. IF YOU JUST GIVE US A MOMENT, CHAIR,  
11 WE'LL PLUG THAT INTO THE COMPUTER AND WE'LL HAVE  
12 MICHAEL MAKE THE PRESENTATION.

13 CHAIRMAN KLEIN: ALL RIGHT. AND AS A  
14 QUESTION, WOULD YOU LIKE TO COMBINE THE EXECUTIVE  
15 SESSION FOR ITEMS NO. 10 AND 11 SO WE DON'T ADJOURN  
16 TWICE?

17 DR. TROUNSON: I'M LOOKING AT JAMES  
18 HARRISON AND ELONA BAUM. IS THERE ANY REASON WHY WE  
19 CAN'T DO THAT? I HAVE NO OBJECTION TO THAT. I  
20 THINK THERE COULD BE QUESTIONS THAT NEED TO BE  
21 ADDRESSED IF THE BOARD WISHES, AND SO COMBINING THEM  
22 MIGHT BE A USEFUL MECHANISM.

23 CHAIRMAN KLEIN: THANK YOU. AND SO WHILE  
24 WE'RE WAITING FOR DR. YAFFE TO GET HIS SLIDES, MR.  
25 HARRISON, IF YOU COULD JUST GIVE US AN INDICATION OF

## BARRISTERS' REPORTING SERVICE

1 THE TWO DIFFERENT STATUTORY PROVISIONS UNDER WHICH  
2 THAT EXECUTIVE SESSION WOULD BE CONVENED.

3 MR. HARRISON: WHEN WE CONVENE IN CLOSED  
4 SESSION, IT WILL BE TO CONSIDER CONFIDENTIAL AND  
5 PROPRIETARY INFORMATION RELATED TO THE RESEARCH  
6 LEADERSHIP AWARD APPLICATION AND BASIC BIOLOGY II  
7 APPLICATIONS WHICH THE BOARD WILL DISCUSS LATER THIS  
8 EVENING UNDER HEALTH AND SAFETY CODE SECTION  
9 125290.30.

10 CHAIRMAN KLEIN: AND JUST AS A QUESTION,  
11 UNDER THE FACULTY LEADERSHIP AWARDS, DOES THAT ALSO  
12 EXIST AS A CONFIDENTIAL SUBCATEGORY UNDER PERSONNEL?  
13 THEY'RE NOT PERSONNEL OF THE AGENCY, SO I'M JUST  
14 ASKING THE QUESTION.

15 MR. HARRISON: NO. THE PERSONNEL  
16 EXCEPTION ONLY RELATES TO PERSONNEL OF THE AGENCY,  
17 SO IT'S CONFIDENTIAL INFORMATION RELATING TO THE  
18 APPLICATION.

19 CHAIRMAN KLEIN: GREAT. THANK YOU VERY  
20 MUCH. WITH THAT, DR. YAFFE, WOULD YOU PROVIDE AN  
21 OVERVIEW PRESENTATION FOR US OF THIS CONSIDERATION?

22 DR. YAFFE: MR. CHAIRMAN AND MEMBERS OF  
23 THE BOARD, I BRING FOR YOUR CONSIDERATION THE  
24 RECOMMENDATIONS OF THE GRANTS WORKING GROUP ON THE  
25 RESEARCH LEADERSHIP AWARDS. THIS IS AGENDA ITEM NO.

## BARRISTERS' REPORTING SERVICE

1 10.

2 JUST LET ME REMIND YOU THAT THE GOALS OF  
3 THIS AWARD ARE TO FACILITATE THE RECRUITMENT TO  
4 CALIFORNIA OF THE MOST PRODUCTIVE AND PROMISING  
5 EARLY TO MIDCAREER SCIENTISTS IN STEM CELL BIOLOGY  
6 AND REGENERATIVE MEDICINE. AND UPON THEIR  
7 SUCCESSFUL RECRUITMENT TO CALIFORNIA, TO SUPPORT  
8 ROBUST AND INNOVATIVE RESEARCH PROGRAMS FOCUSED ON  
9 FUNDAMENTAL STUDIES OF PLURIPOTENT AND PROGENITOR  
10 STEM CELL BIOLOGY AND TRANSLATIONAL STUDIES LEADING  
11 TO INNOVATIVE STEM CELL-BASED THERAPIES FOR DISEASE  
12 AND INJURY.

13 IN TERMS OF THE PROGRAM'S SCOPE AND  
14 ELIGIBILITY, THE PROGRAM IS OPENED TO NONPROFIT  
15 CALIFORNIA INSTITUTIONS, AND THE CANDIDATE OR  
16 PRINCIPAL INVESTIGATOR MUST HOLD A POSITION OUTSIDE  
17 CALIFORNIA AT TIME OF APPLICATION AND HAVE BEEN AN  
18 INDEPENDENT RESEARCHER FOR THREE AT LEAST YEARS.  
19 THE CANDIDATE, FURTHER, MUST BE UNDER CONSIDERATION  
20 FOR RECRUITMENT TO A FULL-TIME POSITION AT AN  
21 ELIGIBLE CALIFORNIA INSTITUTION.

22 INDIVIDUAL INSTITUTIONS MAY RECEIVE ONLY A  
23 SINGLE AWARD UNDER THIS PROGRAM. AND AS YOU DECIDED  
24 WHEN YOU APPROVED THE CONCEPT, UP TO EIGHT AWARDS  
25 WILL BE MADE OVER A PERIOD OF TWO YEARS. JUST FOR A

## BARRISTERS' REPORTING SERVICE

1 REMINDER, THE TOTAL BUDGET FOR THIS PROGRAM THAT YOU  
2 ALLOCATED WAS \$44 MILLION.

3 NOW, THE FEATURES OF THE AWARD INCLUDE  
4 RESEARCH SUPPORT FOR UP TO SIX YEARS IN THE  
5 SUCCESSFUL GRANTEE'S LABORATORY. AWARDEES MUST  
6 FURTHER COMMIT AT LEAST 75 PERCENT OF THEIR TIME TO  
7 STEM CELL OR REGENERATIVE MEDICINE RESEARCH.  
8 ELIGIBLE COSTS UNDER THIS AWARD INCLUDE THE PI'S  
9 SALARY UP TO 90 PERCENT, FUNDS FOR LAB OPERATION AND  
10 LAB RELOCATION, EQUIPMENT. THESE FUNDS MUST BE  
11 MATCHED ONE TO ONE BY FUNDS FROM THE INSTITUTION AND  
12 ADDITIONAL FUNDS FOR FACILITIES AND INDIRECT COSTS.

13 THE APPLICATIONS ARE REVIEWED BY THE  
14 GRANTS WORKING GROUP USING THE FOLLOWING CRITERIA:  
15 GRANTS ARE REVIEWED -- PROPOSALS ARE REVIEWED IN  
16 THREE KEY AREAS. FIRST, RESEARCH VISION AND PLANS  
17 OF THE APPLICANT, THE PRINCIPAL INVESTIGATOR, AND  
18 HERE THERE IS HIGHEST CONCERN ABOUT THE SIGNIFICANCE  
19 OF THAT PLANNED RESEARCH AND ABOUT THE INNOVATION  
20 THAT IT REPRESENTS WITHIN THE FIELD.

21 SECOND KEY AREA IS THE PI'S  
22 ACCOMPLISHMENTS AND POTENTIAL. HERE THERE'S  
23 CONSIDERATION FOR RESEARCH ACHIEVEMENTS BY THE  
24 APPLICANT, THE IMPACT OF WORK THAT THE APPLICANT HAS  
25 ALREADY CARRIED OUT AND THE POTENTIAL IMPACT OF THE

## BARRISTERS' REPORTING SERVICE

1 WORK GOING FORWARD. LEADERSHIP, IT'S ALREADY BEEN  
2 DEMONSTRATED IN SCIENCE AND THE SCIENTIFIC COMMUNITY  
3 AND ALSO THE POTENTIAL FOR LEADERSHIP. AND FURTHER,  
4 AN ASSESSMENT OF ACCOMPLISHMENTS AND POTENTIAL BY  
5 RECOGNIZED LEADERS IN THE FIELD.

6 THE THIRD KEY AREA OF REVIEW, THIRD KEY  
7 CRITERION IS INSTITUTIONAL COMMITMENT AND  
8 ENVIRONMENT. WHAT KINDS OF PROMISES AND RESOURCES  
9 WILL BE SUPPLIED BY THE INSTITUTION AND WHAT KIND OF  
10 ENVIRONMENT IS AVAILABLE TO CARRY OUT THE RESEARCH  
11 AND HOPEFULLY TO PROVIDE A FLOURISHING ENVIRONMENT  
12 FOR FURTHER DISCOVERY.

13 SO I BRING YOU THE RESULTS OF CYCLE 1. SO  
14 THERE WILL BE UP TO EIGHT CYCLES OF THIS. WE'RE  
15 TRYING TO TIME THIS SO THERE IS A DEADLINE EVERY  
16 THREE MONTHS, AND WE ENDEAVOR TO BRING YOU THE  
17 RESULTS OF THE GRANTS WORKING GROUP CONSIDERATION OF  
18 APPLICATIONS WITHIN THREE MONTHS, ACTUALLY WITHIN 70  
19 DAYS. THIS CYCLE, THE APPLICATION DEADLINE WAS IN  
20 MID-FEBRUARY. WE RECEIVED ONE APPLICATION. THAT  
21 WAS NOT SURPRISING TO STAFF BECAUSE THE PROGRAM JUST  
22 WAS INITIATED. WE ANTICIPATE IN SUBSEQUENT CYCLES  
23 QUITE A FEW MORE APPLICATIONS.

24 THIS APPLICATION WAS REVIEWED BY THE  
25 GRANTS WORKING GROUP, BUT VIA TELEPHONIC REVIEW,

## BARRISTERS' REPORTING SERVICE

1 THAT WAS HELD ON THE 25TH OF MARCH. AND HERE IS ITS  
2 RECOMMENDATION. THIS PROPOSAL, LA 11747, IS  
3 RECOMMENDED FOR FUNDING. THE TITLE OF THIS PROPOSAL  
4 IS THE "ROLE OF NEURAL STEM CELLS IN CEREBELLAR  
5 DEVELOPMENT REGENERATION AND TUMOROGENESIS." TOTAL  
6 REQUESTED FUNDS ARE APPROXIMATELY 5.9 MILLION. THIS  
7 INCLUDES BOTH DIRECT AND INDIRECT COSTS. THE SCORE  
8 ON THIS APPLICATION AS VOTED BY THE GRANTS WORKING  
9 GROUP WAS 83.

10 I'LL BE HAPPY TO ANSWER QUESTIONS.

11 CHAIRMAN KLEIN: SO AT THIS POINT IN THE  
12 DISCUSSION, WE'RE NOT TALKING ABOUT THE INSTITUTION  
13 OR THE INDIVIDUAL CANDIDATE. BUT ARE THERE  
14 PROGRAMMATIC QUESTIONS?

15 MR. SHESTACK: BOB, WHAT DID YOU SAY ABOUT  
16 THE INSTITUTION AND THE INDIVIDUAL CANDIDATE?

17 CHAIRMAN KLEIN: AT THIS POINT IN THE  
18 DISCUSSION, PRIOR TO THE EXECUTIVE SESSION, WE'RE  
19 NOT TALKING -- WE'RE NOT USING THE INDIVIDUAL'S NAME  
20 OR THE INSTITUTION. WE'RE TALKING ABOUT WHETHER  
21 THIS CANDIDATE MET THE CRITERIA THAT WERE THE  
22 PURPOSE OF THE RFA. THE BOARD IN THE DISCUSSION  
23 AFTER THE EXECUTIVE SESSION, IF WE HAVE A VOTE ON  
24 THIS, THE INDIVIDUAL'S NAME, IF THIS IS APPROVED,  
25 WILL BE RELEASED PUBLICLY AS WILL THE INSTITUTION.

## BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: I JUST HAD A QUESTION JUST TO  
2 CLARIFY ABOUT THE RFA. NOW, EACH INSTITUTION IS  
3 ELIGIBLE FOR ONE GRANT, RIGHT?

4 DR. YAFFE: ONE AWARD.

5 MR. SHEEHY: ONE AWARD. HOW MANY  
6 APPLICATIONS CAN BE PUT IN PER INSTITUTION?

7 DR. YAFFE: THEY MAY PUT IN ONE PER YEAR  
8 UNTIL THEY'RE SUCCESSFUL. THAT IS, ESSENTIALLY THEY  
9 CAN PUT IN ONE. IF THEY'RE UNSUCCESSFUL, THEY CAN  
10 PUT IN A SECOND THE NEXT YEAR.

11 CHAIRMAN KLEIN: AS LONG AS THE CAP HAS  
12 NOT BEEN EXCEEDED.

13 DR. YAFFE: AS LONG AS THE CAP HAS NOT  
14 BEEN EXCEEDED.

15 CHAIRMAN KLEIN: UNLESS THE BOARD HAS  
16 CHANGED THE NUMBER THAT IS AVAILABLE AGAINST THE  
17 CAP.

18 DR. YAFFE: YES.

19 MR. SHEEHY: JUST WANTED TO GET THAT OUT  
20 THERE SO THE PUBLIC KNOWS THAT THIS IS NOT -- LIKE  
21 IF THIS CANDIDATE WINS, THIS WILL BE THAT  
22 INSTITUTION'S ONLY GRANT FOR THIS. WE'RE DISPERSING  
23 THESE AS OPPOSED TO SOME OF THE GRANTS, SOME OF THE  
24 BIG ONES GET MORE THAN SOME OF THE LITTLE ONES.  
25 THIS ONE IS MORE EQUITABLE.



## BARRISTERS' REPORTING SERVICE

1 DR. YAFFE: MY APOLOGIES. MR. SHEEHY MAY  
2 HAVE OTHER COMMENTS SINCE HE IS THE CHAIR OF THE  
3 GRANTS WORKING GROUP FOR THE PROGRAMMATIC REVIEW.

4 CHAIRMAN KLEIN: MR. SHEEHY, DO YOU HAVE  
5 ANY SPECIFIC COMMENTS YOU'D LIKE TO MAKE AT THIS  
6 TIME, OR YOU MAY, OF COURSE, CHOOSE TO MAKE COMMENTS  
7 AFTER THE EXECUTIVE SESSION?

8 MR. SHEEHY: I WOULD WAIT TILL AFTER THE  
9 EXECUTIVE SESSION.

10 CHAIRMAN KLEIN: DR. HAWGOOD.

11 DR. HAWGOOD: I JUST HAVE ONE QUESTION ON  
12 THE PROCESS. BECAUSE OF THE RELATIVELY COMPLICATED  
13 NATURE OF THESE AWARDS -- QUESTION ON PROCESS.  
14 BECAUSE OF THE COMPLEX NATURE OF THESE AWARDS AND  
15 THE FACT THAT THERE ARE INDIVIDUALS LEAVING AN  
16 INSTITUTION AND THAT DECISION IS POTENTIALLY RELATED  
17 TO THIS AWARD, ARE THEY AWARE THAT THEIR NAME WILL  
18 BE RELEASED?

19 CHAIRMAN KLEIN: THEY ARE AWARE. WE  
20 TAKE -- AND THEY HAVE CLEARED THAT WITH THEIR  
21 INSTITUTION.

22 DR. HAWGOOD: THANK YOU.

23 DR. POMEROY: ONE OTHER PROCESS QUESTION.  
24 DO WE -- I UNDERSTAND THAT WE RELEASE THE NAME OF A  
25 SUCCESSFUL CANDIDATE. WOULD WE IN THE FUTURE

## BARRISTERS' REPORTING SERVICE

1 RELEASE THE NAMES IF WE DECIDED NOT TO FUND ONE OF  
2 THESE?

3 CHAIRMAN KLEIN: OUR POLICY HAS BEEN NOT  
4 TO RELEASE THE NAME ON INDIVIDUALS WHO ARE NOT  
5 SUCCESSFUL WITH THE DESIRE NOT TO HARM THEIR  
6 CAREERS.

7 DR. POMEROY: GOOD.

8 CHAIRMAN KLEIN: DR. PIZZO, ARE YOU MAKING  
9 A COMMENT IN SUPPORT OF THAT?

10 DR. PIZZO: I'M AGREEING WITH THAT.

11 CHAIRMAN KLEIN: DR. PIZZO IS AGREEING  
12 WITH THAT. IF EVERYONE, WHEN THEY SPEAK, COULD GET  
13 CLOSE TO MIC BECAUSE OTHERWISE THE AUDIO BROADCAST  
14 DOESN'T WORK VERY EFFICIENTLY.

15 SEEING NO ADDITIONAL QUESTIONS HERE, THEN  
16 I'D LIKE TO GO ON TO THE NEXT ITEM, AND THEN WE'RE  
17 GOING TO ADJOURN JOINTLY, AS WE DISCUSSED, TO  
18 EXECUTIVE SESSION.

19 MR. SIMPSON: WILL YOU TAKE QUESTIONS FROM  
20 THE PUBLIC ON THE LAST ITEM?

21 CHAIRMAN KLEIN: WE WILL, YES.

22 MR. SIMPSON: JOHN SIMPSON WITH CONSUMER  
23 WATCHDOG. I GUESS THIS GOES TO THE PROCESS ISSUE.  
24 IS IT CONCEIVABLE THAT THIS GRANT COULD BE AWARDED  
25 AND THIS INDIVIDUAL, WHO APPARENTLY HAS BEEN

## BARRISTERS' REPORTING SERVICE

1 SPECULATED ABOUT IN AT LEAST SOME ELEMENTS OF THE  
2 BLOGOSPHERE, WOULD DECLINE TO ACCEPT IT?

3 CHAIRMAN KLEIN: FOR ANY CANDI DATE,  
4 ALTHOUGH INSTITUTIONS TRY AND SET A VERY HIGH  
5 STANDARD ON RECRUITING INDIVIDUALS THAT THEY KNOW  
6 WILL ACCEPT BECAUSE THEY CAN' T MAKE ANOTHER  
7 APPLICATION THIS YEAR. FOR EXAMPLE, IF THIS WERE  
8 NOT SUCCESSFUL, THERE CAN' T BE A GUARANTEE SINCE  
9 THIS IS A MAJOR MOVE FROM ONE INSTITUTION TO ANOTHER  
10 THAT THAT MOVE WILL BE, IN FACT, SUCCESSFUL. AND SO  
11 THERE IS NOT A GUARANTEE IF THE BOARD APPROVES THIS  
12 THAT, IN FACT, THE PERSON WILL MOVE BECAUSE THEY  
13 HAVE FAMILIES INVOLVED HERE AND IT IS A MAJOR  
14 PROFESSIONAL CHANGE FOR THEM.

15 MR. SIMPSON: THANK YOU.

16 CHAIRMAN KLEIN: DR. PIZZO.

17 DR. PIZZO: JUST TO ADD TO THE COMPLEXITY,  
18 A COMMENT THAT I MADE EARLIER WHEN THIS WAS  
19 INTRODUCED INITIALLY IS THAT THE OTHER VARIABLE,  
20 WHICH WILL BE, I THINK, VARIABLE FROM ONE  
21 INSTITUTION TO ANOTHER, IS THAT SIMPLY DECIDING ON A  
22 CANDI DATE THROUGH A SEARCH PROCESS DOESN' T GUARANTEE  
23 THAT THAT PERSON IS GOING TO PASS THE HURDLES OF THE  
24 ACADEMIC REVIEW PROCESS BECAUSE THAT OFTEN HAPPENS  
25 IN TANDEM OR FOLLOWING A SEARCH SELECTION. SO THERE

## BARRISTERS' REPORTING SERVICE

1 ARE LOTS OF ISSUES THAT COULD UNFOLD.

2 CHAIRMAN KLEIN: WE'VE HEARD THAT  
3 DISCUSSED. I THINK YOU BROUGHT IT UP ORIGINALLY.  
4 SO IN THE INTERNAL PROCESS NOW, WE'VE ASKED  
5 INSTITUTIONS TO CLEAR THEIR CANDIDATE BEFORE IT  
6 COMES TO THIS BOARD IN TERMS OF THE RECRUITING  
7 INSTITUTION HAVING APPROVED THE RECRUITMENT.

8 YES, DR. CHIU.

9 DR. CHIU: JUST TWO QUESTIONS ABOUT THIS  
10 AWARD. FIRST IS IF THE GRANTEE COMES TO THE  
11 INSTITUTION THAT'S SPONSORED THEM, GOT THE AWARD,  
12 AND CAME TO CALIFORNIA, AND THEN IN A YEAR OR TWO  
13 WAS OFFERED A BETTER POSITION IN ANOTHER CALIFORNIA  
14 INSTITUTION, WILL THEY BE ABLE TO TAKE THIS AWARD  
15 AND MOVE IT WITH THEM?

16 CHAIRMAN KLEIN: DR. YAFFE, WOULD YOU  
17 PLEASE ADDRESS THAT?

18 DR. YAFFE: NO. THIS AWARD IS NOT  
19 TRANSFERABLE FROM ONE INSTITUTION TO ANOTHER. AND  
20 IT'S NOT TRANSFERABLE FROM ONE INDIVIDUAL TO  
21 ANOTHER. HAS TO BE USED ONLY BY THE ORIGINAL  
22 AWARDEE AT THE INSTITUTION WHICH RECEIVES THE AWARD.

23 DR. PIZZO: I THINK THEY SHOULD GO TO CIRM  
24 JAIL.

25 DR. CHIU: AND THE SECOND QUESTION IS

## BARRISTERS' REPORTING SERVICE

1 SUPPOSING AN INSTITUTION JUST RECRUITED AN  
2 INCREDIBLY GOOD STEM CELL SCIENTIST RIGHT BEFORE  
3 THIS WAS ANNOUNCED. ARE THEY NOT ABLE TO APPLY FOR  
4 IT, THAT THEY'VE ALREADY MISSED THAT OPPORTUNITY?

5 DR. YAFFE: THAT IS CORRECT, BUT THEY'RE  
6 ELIGIBLE TO APPLY FOR ALL OF THE OTHER GENEROUS AND  
7 AMBITIOUS PROGRAMS THAT WE ARE TRYING TO PUT OUT  
8 THERE.

9 CHAIRMAN KLEIN: YOU SHOULD RUN FOR  
10 OFFICE. ALL RIGHT. ADDITIONAL QUESTIONS FROM THE  
11 PUBLIC OR THE BOARD? SEEING NONE, I'D LIKE TO MOVE  
12 TO ITEM 11.

13 I BELIEVE DR. GRIESHAMMER IS GOING TO DO A  
14 PRESENTATION HERE ON ITEM 11 RELATED TO THE BASIC  
15 BIOLOGY AWARDS NO. II.

16 DR. GRIESHAMMER: EXACTLY. ANOTHER RFA  
17 THAT WAS RECENTLY REVIEWED. IN FEBRUARY THE GRANTS  
18 WORKING GROUP REVIEWED THE APPLICATIONS WE RECEIVED  
19 IN RESPONSE TO THE BASIC BIOLOGY II RFA. AND I WILL  
20 NOW PRESENT TO YOU THE FUNDING RECOMMENDATIONS MADE  
21 BY THE GRANTS WORKING GROUP. THAT'S AGENDA ITEM NO.  
22 11.

23 SO THE GOALS OF THIS RFA WERE TO SUPPORT  
24 STUDIES TACKLING SIGNIFICANT UNRESOLVED ISSUES  
25 PERTINENT TO THE CONTROL OF STEM CELL FATE AND TO

## BARRISTERS' REPORTING SERVICE

1 FOSTER CUTTING EDGE RESEARCH INTO THE MECHANISMS OF  
2 PLURIPOTENCY, DIFFERENTIATION, AND CELLULAR  
3 REPROGRAMMING. WE ASKED THAT THE STUDIES BE FOCUSED  
4 PRIMARILY ON HUMAN CELLS. I SAY PRIMARILY BECAUSE  
5 WE DID ALLOW AN EXCEPTION FOR GROUNDBREAKING  
6 REPROGRAMMING STUDIES WHERE THE NECESSARY USE OF  
7 OTHER MAMMALIAN SYSTEMS MAY BE CONSIDERED.

8 SO FOR THIS RFA, INDIVIDUAL PROJECTS CAN  
9 BE FUNDED FOR UP TO THREE YEARS WITH DIRECT PROJECT  
10 COSTS OF UP TO \$300,000 PER YEAR. AND THE OVERALL  
11 PROGRAM THAT WAS APPROVED BY YOU CONSISTS OF UP TO  
12 20 GRANTS WITH A TOTAL COST OF UP TO \$30 MILLION.

13 THE APPLICATION AND REVIEW PROCESS FOR  
14 THIS RFA INCLUDED PRELIMINARY APPLICATIONS. WE HAD  
15 NO INSTITUTIONAL LIMITS ON THE NUMBER OF  
16 PREAPPLICATIONS. AND THE PREAPPLICATIONS WERE  
17 REVIEWED BY OUTSIDE SPECIALISTS AS WELL AS CIRM  
18 SCIENTISTS. THE RESULTING INVITED FULL APPLICATIONS  
19 WERE THEN REVIEWED BY THE GRANTS WORKING GROUP IN  
20 FEBRUARY.

21 I WOULD LIKE TO REMIND YOU AT THIS POINT  
22 THAT THIS RFA, RFA 0902, THAT YOU ARE CONSIDERING  
23 TODAY IS THE SECOND HALF OF A TWO-PART BASIC BIOLOGY  
24 INITIATIVE THAT WE RELEASED IN 2009, AND THE FIRST  
25 PART WAS RFA 0807, BASIC BIOLOGY AWARDS I. AND ALSO

## BARRISTERS' REPORTING SERVICE

1 TO REMIND YOU THAT A PI WAS ONLY ELIGIBLE TO SUBMIT  
2 A PREAPPLICATION TO ONE OF THESE TWO BASIC BIOLOGY  
3 RFA'S RELEASED IN 2009.

4 SO ON THIS SLIDE I'M SHOWING YOU THE  
5 NUMBERS OF APPLICATIONS THAT CIRM RECEIVED FOR THE  
6 ENTIRE 2009 BASIC BIOLOGY PROGRAM. IN THE RIGHT  
7 COLUMN FOR BASIC BIOLOGY II, WHICH IS THE RFA YOU  
8 ARE CONSIDERING TODAY, YOU CAN SEE THAT WE RECEIVED  
9 154 PREAPPLICATIONS THAT LED TO THE INVITATION OF 57  
10 FULL APPLICATIONS. AND THEN 52 APPLICATIONS WERE  
11 RECEIVED AND REVIEWED BY THE GRANTS WORKING GROUP.  
12 FOR COMPARISON, I'M ALSO SHOWING THE NUMBERS FOR THE  
13 BASIC BIOLOGY I PROGRAM IN WHICH YOU'VE ENDED UP  
14 FUNDING 12 APPLICATIONS FOR A TOTAL COST OF \$16.3  
15 MILLION.

16 NEXT SLIDE. I'LL GET TO THE 52  
17 APPLICATIONS THAT WERE CONSIDERED BY THE GRANTS  
18 WORKING GROUP IN FEBRUARY. WE ASKED THE REVIEWERS  
19 TO CONSIDER THESE REVIEW CRITERIA LISTED HERE. WE  
20 WERE LOOKING FOR HIGHLY INNOVATIVE PROJECTS. AND  
21 FOR THE SIGNIFICANCE OF THE PROJECTS, WE ASKED THE  
22 REVIEWERS TO NOT ONLY CONSIDER THE IMPACT THE  
23 PROJECT MIGHT HAVE ON BASIC STEM CELL BIOLOGY, BUT  
24 ALSO CONSIDER WHETHER THE PROJECT WILL ENABLE THE  
25 REALIZATION OF THE FULL POTENTIAL OF HUMAN STEM

## BARRISTERS' REPORTING SERVICE

1 CELLS FOR THERAPIES AND AS TOOLS FOR BIOMEDICAL  
2 INNOVATION. WE ASKED THE REVIEWERS TO ASSESS  
3 WHETHER THE RESEARCH AS PROPOSED IS FEASIBLE AND  
4 WHETHER THE EXPERIMENTAL DESIGN IS LOGICAL AND BASED  
5 ON A SOUND RATIONALE. AND FINALLY, THE REVIEWERS  
6 ASSESSED THE QUALIFICATIONS OF THE PI AND THE TEAM  
7 TO EXECUTE THE PROPOSED STUDIES.

8 SO NOW I'M SHOWING YOU THE RESULTS OF THIS  
9 REVIEW PROCESS. WHAT I'M SHOWING HERE IS THE  
10 DISTRIBUTION OF THE SCORES FOR THE 52 APPLICATIONS  
11 FOLLOWING SCIENTIFIC REVIEW BY THE GRANTS WORKING  
12 GROUP. AS YOU CAN SEE, ALONG THE X AXIS, THE SCORES  
13 RANGED FROM THE 30S TO THE 80S. AND SINCE SOME  
14 SCORES WERE GIVEN TO MORE THAN ONE GRANT, YOU SEE  
15 THAT ILLUSTRATED ALONG THE Y AXIS. IN SOME CASES  
16 THERE WERE MORE THAN ONE GRANT WITH A PARTICULAR  
17 SCORE.

18 SO DURING THE PROGRAMMATIC REVIEW, FOR THE  
19 INITIAL CATEGORIZATION OF THESE APPLICATIONS INTO  
20 THE THREE TIERS, THE GRANTS WORKING GROUP DREW THE  
21 GREEN LINE, AS YOU CAN SEE AS ILLUSTRATED HERE AT  
22 SCORE 73 SO THAT APPLICATIONS WITH A SCORE OF 73 OR  
23 ABOVE WERE PLACED INTO TIER I. THE GRANTS WORKING  
24 GROUP THEN DREW THE RED LINE AT SCORE 67, PLACING  
25 APPLICATIONS WITH A SCORE OF 67 AND BELOW IN TIER



## BARRISTERS' REPORTING SERVICE

1 III, AND THE REMAINDER OF THE APPLICATIONS INTO TIER  
2 II.

3 THEN ON MY LAST SLIDE I'M SHOWING YOU THAT  
4 FOLLOWING PROGRAMMATIC DISCUSSION, FOLLOWING  
5 PROGRAMMATIC DISCUSSION, THE GRANTS WORKING GROUP  
6 ARRIVED AT THESE RECOMMENDATIONS. THEY RECOMMENDED  
7 TO YOU TO FUND 14 APPLICATIONS WHICH WOULD COST  
8 19 -- APPROXIMATELY \$19.6 MILLION.

9 I'LL STOP HERE AND ANSWER ANY QUESTIONS.  
10 AND IF MR. SHEEHY WOULD LIKE TO MAKE SOME COMMENTS  
11 ABOUT THE REVIEW AS WELL, THAT WOULD BE GREAT.

12 MR. SHEEHY: I WOULD JUST SAY ONE THING.  
13 IT'S AN INTERESTING PHENOMENON SINCE WE'VE GONE TO  
14 THE PREAP PROCESS THAT WE TYPICALLY FUND -- THE  
15 WORKING GROUP TYPICALLY SUPPORTS 20 TO 30 PERCENT OF  
16 THE GRANTS. WE DID -- FIRST TIME AROUND WE DID,  
17 WHAT, 12 OUT OF 40, AND THIS TIME WE DID 14 OUT OF  
18 52. AND I JUST FIND THAT -- AND MAYBE A LOT OF  
19 FOLKS ARE ACADEMIC AND A LOT OF YOU GUYS ARE  
20 ACADEMICS. I JUST THINK THAT THERE'S A CURVE THAT  
21 YOU GRADE PEOPLE ON. I DON'T THINK THAT  
22 APPLICATIONS ARE NECESSARILY ALWAYS JUDGED ON THE  
23 MERIT ALONE, BUT THEY'RE JUDGED IN RELATIONSHIP TO  
24 OTHER APPLICATIONS.

25 SO THIS IS NOT AN AREA -- A LOT OF THIS

## BARRISTERS' REPORTING SERVICE

1 SCIENCE IS, FRANKLY, BEYOND ME. BUT I THINK AS YOU  
2 START TO LOOK AT SOME OF THOSE THAT FALL RIGHT BELOW  
3 THE FUNDING LEVEL, IF YOU HAVE ANY SPECIFIC  
4 KNOWLEDGE OR INFORMATION OR IF THERE'S SOMETHING  
5 THAT POPS UP AT YOU, I WOULD NOT BE RELUCTANT TO TRY  
6 TO DISCUSS THESE EITHER IN CLOSED SESSIONS OR BEFORE  
7 THE BOARD SIMPLY BECAUSE I'M NOT CONVINCED THAT A  
8 CERTAIN NUMBER OF APPLICATIONS ARE GOING TO FALL  
9 BELOW THE FUNDING CATEGORY NO MATTER WHAT BECAUSE  
10 THEY'RE NOT GOING TO FUND 50 PERCENT OF THE  
11 APPLICATIONS EVEN THOUGH TWO-THIRDS OF THE  
12 APPLICATIONS HAVE ALREADY BEEN ELIMINATED IN THE  
13 PREAP PROCESS. BUT THAT NEVER REALLY SEEMS TO SINK  
14 IN.

15 THIS IS MY ONLY PEER REVIEW EXPERIENCE.  
16 AND FOR A LOT OF INDIVIDUALS HERE, YOU SAT ON PEER  
17 REVIEW BEFORE. SO I DON'T KNOW HOW -- YOU KNOW,  
18 IT'S A CULTURE -- HOW THAT IMPACTS SOME OF THIS, BUT  
19 I JUST -- I'M REALLY STARTING TO GET A SENSE IN  
20 THESE ONES WHERE WE'VE WINNED THEM DOWN, EVEN  
21 THOUGH I THINK -- AND STAFF IS VERY GOOD ABOUT  
22 MAKING COMMENTS -- THAT THERE HAS BEEN A PREAP  
23 PROCESS. I JUST AM NOT ALWAYS CONVINCED THAT  
24 THERE'S NOT SOME IMPACT, THAT PEOPLE LOOK AT THIS  
25 AND THERE'S GOING TO BE ABOUT A THIRD THAT ARE

## BARRISTERS' REPORTING SERVICE

1 GREAT, A THIRD THAT ARE BAD, AND A THIRD IN THE  
2 MIDDLE, AND THAT SEEMS TO ALWAYS HAPPEN. I DON'T  
3 KNOW, LOOKING AT THIS, IF WE'RE NOT MISSING SOME  
4 GOOD SCIENCE RIGHT BELOW THE FUNDING LINE.

5 AGAIN, A LOT OF THE SCIENCE IS GOING TO BE  
6 BEYOND ME, BUT I JUST HOPE PEOPLE TAKE A HARD LOOK  
7 AT THIS AND AT LEAST IF WE DISCUSS SOME OF THESE  
8 THAT FELL BELOW, I HOPE PEOPLE WILL BE OPEN-MINDED.

9 CHAIRMAN KLEIN: DR. YANCEY, I BELIEVE YOU  
10 HAVE A COMMENT.

11 DR. YANCEY: ACTUALLY I HAVE A QUESTION,  
12 TWO PROCEDURAL QUESTIONS. ONE WITH REGARD TO TIER  
13 II, COULD YOU PLEASE HELP ME UNDERSTAND HOW YOU  
14 ASSESSED THE THREE THAT FELL INTO TIER II TO COME TO  
15 AN ALIGNMENT WITH REGARD TO THAT PARTICULAR CUTOFF?  
16 IT APPEARS, BASED ON THE CHART, THAT THERE ARE THREE  
17 THAT SAT IN TIER II, AND THEN YOU WENT THROUGH SOME  
18 SUBSEQUENT LEVEL OF ASSESSMENT BECAUSE AT LEAST ON A  
19 QUANTITATIVE BASIS, YOU CUT THE LINE IN A MANNER  
20 THAT ALLOWED AT LEAST ONE OF THEM THAT HAD A LOWER  
21 SCORE TO ACTUALLY BE RECOMMENDED FOR GRANTING.  
22 COULD YOU PROVIDE US WITH SOME UNDERSTANDING OF  
23 THAT?

24 AND THEN FOR HISTORICAL PRECEDENT, WHICH  
25 ACTUALLY DOES GET A BIT TO YOUR POINT, JEFF, FOR

## BARRISTERS' REPORTING SERVICE

1     CONSI STENCY ACROSS THE PROCESS, COULD YOU TELL US  
2     WHAT WAS THE BOTTOM SCORE FOR TIER I IN THE PRIOR  
3     CYCLE JUST FOR MY BENEFIT?

4             DR. GRIESHAMMER: I' LL BE HAPPY TO COMMENT  
5     ON THAT, OR, JEFF, YOU WANT TO COMMENT ON IT.

6             MR. SHEEHY: THE PROCESS WHEN WE --  
7     BECAUSE OF OUR CONFLICTS POLICY, WHAT WE DO IS WE  
8     JUST PUT UP THE HI STOGRAM WHICH SHOWS THE  
9     DI STRIBUTIONS OF SCORES WITH NO I DENTIFYING  
10    INFORMATION. AND GENERALLY, HAVING SAT THROUGH A  
11    REVIEW FOR SOMETIMES A DAY, A DAY AND A HALF,  
12    SOMETIMES TWO DAYS, THE SCIENTISTS HAVE A SENSE OF  
13    WHAT THEIR TOP TIER IS. AND THEY' RE ABLE TO DO THAT  
14    NUMBER. THAT NUMBER IS PRETTY REGULARLY FALLING  
15    SOMEWHERE BETWEEN 70 AND 75. THEY ALSO HAVE A SENSE  
16    OF WHERE, BASED ON THEIR EXPERIENCE FOR HAVING SAT  
17    THERE, THE SCORES ARE NOT GOING TO BE GOOD. IT' S  
18    NOT MERI TORIOUS.

19            AND WHAT THIS ALLOWS US TO FOCUS ON IN  
20    PROGRAMMATIC REVI EW ARE REALLY THOSE GRANTS WHERE  
21    THERE' S SOME QUESTION AS TO WHETHER OR NOT THEY' RE  
22    MERI TORIOUS. SO WE DRAW TWO LINES BEFORE WE EVEN  
23    LOOK AT WHAT THE GRANTS ARE AND ARE ABLE TO THEN  
24    KIND OF SAY ALL OF THIS IS GOOD AND ALL OF THIS IS  
25    BAD, AND WE WANT TO TALK ABOUT THESE IN THE MIDDLE.

## BARRISTERS' REPORTING SERVICE

1 SO THAT'S HOW WE END UP. THAT MIDDLE SECTION IS  
2 GENERALLY THE DISCUSSION SECTION. IT DOESN'T  
3 PRECLUDE PEOPLE TAKING STUFF OUT OF THE TOP TIER.  
4 IT DOESN'T PRECLUDE PEOPLE MOVING STUFF FROM THE  
5 BOTTOM TIER, BUT IT GIVES US A FRAMEWORK SO THAT WE  
6 DON'T -- WE DON'T IN PROGRAMMATIC REVIEW WANT TO  
7 REREVIEW THE WHOLE 50 SOME ODD GRANTS THAT WE LOOKED  
8 AT, BUT WE WOULD LIKE TO BE ABLE, FOR PROGRAMMATIC  
9 REASONS, TO CONSIDER MOVING SOME OF THE ONES THAT  
10 DIDN'T SCORE NECESSARILY THAT HIGH, SEE IF THERE ARE  
11 REASONS WHY THEY MAY BE WORTH FUNDING OR  
12 RECOMMENDING FOR FUNDING.

13 DR. YANCEY: THANK YOU. JUST TRYING TO  
14 UNDERSTAND, GET A LITTLE MORE COLOR ON HOW YOU  
15 OBJECTIFY THE SUBJECTIVE ASPECTS OF THE TIER II  
16 DISCUSSION TO GET TO YOUR RECOMMENDATION HERE. IS  
17 THAT CLEARER?

18 DR. GRIESHAMMER: I DIDN'T FOLLOW THE  
19 ENTIRE DISCUSSION JUST NOW.

20 MR. SHEEHY: I THINK -- I GET WHAT YOU ARE  
21 SAYING. THERE IS SOME SUBJECTIVITY IN THE TIER II  
22 DISCUSSION BECAUSE WHAT YOU DO IS YOU LOOK, AND I  
23 THINK IF, GIVEN THAT I DON'T HAVE A CONFLICT, I  
24 THINK I CAN TALK ABOUT THIS SPECIFIC. COUNSEL WON'T  
25 GO -- IF I TALK ABOUT THE SPECIFIC ONE THAT WAS

## BARRISTERS' REPORTING SERVICE

1 MOVED UP, IF YOU LOOK, THE ONE AT THE BOTTOM IS A 66  
2 CLEARLY WAS ELEVATED. NOW, I CAN TELL YOU WHAT THE  
3 PROGRAMMATIC CONSIDERATION WAS FOR THAT ONE. THAT  
4 ONE HAD TO DO WITH THE DERIVATION OF HEMATOPOIETIC  
5 STEM CELLS FROM EMBRYONIC OR PLURIPOTENT LINES.  
6 THAT'S SOMETHING THAT'S NOT -- PEOPLE HAVEN'T  
7 ACTUALLY BEEN ABLE TO WORK OUT. IT WASN'T A GRANT  
8 THAT PEOPLE THOUGHT WAS BULLETPROOF, BUT THIS WAS AN  
9 IMPORTANT THING PROGRAMMATICALLY FOR US TO BE  
10 WORKING ON. WE'VE APPROVED DISEASE TEAM GRANTS  
11 LOOKING AT ADULT HEMATOPOIETIC STEM CELL THERAPIES,  
12 A LOT OF THEM AUTOLOGOUS, AND WE'RE NOT GOING TO BE  
13 ABLE TO BROADEN THOSE APPROACHES UNLESS WE ARE ABLE  
14 TO GET HEMATOPOIETIC STEM CELLS TO DERIVE THEM FROM  
15 IPS OR EMBRYONIC STEM CELLS.

16 SO BEING ABLE TO DO THAT IS BOTH FROM A  
17 LARGER PROGRAM FROM SOME OF THE STUFF WE FUNDED  
18 ALREADY AND ALSO BASED ON THE FACT THAT WE DIDN'T  
19 FUND DOING THAT IN THIS ROUND. SO ABOVE 74, NONE OF  
20 THOSE DID THAT, AND WE DIDN'T FUND ANYTHING DOING  
21 THAT IN THE FIRST ROUND. THAT WAS KIND OF A PLACE  
22 WHERE IT SEEMED REASONABLE THAT WE SHOULD STRETCH  
23 AND INCLUDE THAT IN OUR PORTFOLIO.

24 SO I'LL GIVE YOU A SENSE OF THE  
25 CONSIDERATION. SOMETIMES THERE ARE DISEASE

## BARRISTERS' REPORTING SERVICE

1 CONSIDERATIONS THAT COME IN BECAUSE WE MAY NOT BE  
2 COVERING ENOUGH OF THE DIFFERENT DISEASE BASES.  
3 THAT BECOMES MORE IMPORTANT AS WE GET FURTHER DOWN  
4 THE LINE. IN BASIC BIOLOGY IT'S A LITTLE BIT MORE  
5 DIFFICULT TO DO THAT. THAT GIVES YOU A SENSE.

6 DR. YANCEY: IT DOES. WHAT I HEARD YOU  
7 SAY IS THAT YOU'VE OBJECTIFIED THE PROCESS BY  
8 EVALUATING THE MISSION OF THE ORGANIZATION AND  
9 IDENTIFYING GAPS, AND THAT THIS REPRESENTED  
10 SOMETHING THAT FILLED A SPECIFIC GAP. THAT WAS VERY  
11 HELPFUL. THANK YOU.

12 CHAIRMAN KLEIN: THE OTHER POINT HERE IS  
13 THAT THE PEER REVIEW GROUP IS TOLD VERY EXPLICITLY  
14 BY THE SCIENTIFIC STAFF THAT UNDER CALIFORNIA LAW  
15 AND IN ORDER TO MAINTAIN THE CONFIDENTIALITY OF PEER  
16 REVIEW, THE BOARD HAS TO EXERCISE ITS DISCRETION  
17 WHERE IT THINKS THERE'S SCIENTIFIC MERIT OUTSIDE OF  
18 WHAT THE PEER REVIEW GROUP RESOLVES. SO THEY DON'T  
19 HAVE AN ABSOLUTE COMPULSION TO TRY AND FIGHT TO  
20 ELEVATE EVERY GRANT THAT MAY HAVE MERIT BECAUSE THEY  
21 KNOW THERE'S A FINAL DECISION THAT WILL BE MADE AT  
22 THE BOARD. THAT'S JUST A BEHAVIORISTIC STUDY OF  
23 GROUP BEHAVIOR.

24 IN ORDER TO PROVIDE SOME TRANSPARENCY TOO  
25 ON AREAS WHERE THERE IS A SPLIT OR A POTENTIAL SPLIT

## BARRISTERS' REPORTING SERVICE

1 IN THE VIEW OF THE PEER REVIEW GROUP, WE HAVE A  
2 COUPLE OF PETITIONS THAT WE'RE GOING TO HEAR,  
3 EXTRAORDINARY PETITIONS, AFTER THE EXECUTIVE  
4 SESSION. AND WE HAVE A COUPLE OF GRANTS HERE THAT  
5 HAVE BEEN POINTED OUT HAVE HIGHER SCORES THAN ONE  
6 THAT WAS ELEVATED.

7 ON THE EXTRAORDINARY PETITIONS AND THOSE  
8 OTHER TWO THAT WERE PASSED OVER BY THE ENTIRE GROUP,  
9 COULD YOU TELL US WHAT THE STANDARD DEVIATION WAS,  
10 WHAT THE MEDIAN AND THE MEAN WAS, AND THE RANGE SO  
11 THAT WE CAN SEE IF THERE WAS A SPLIT EFFECTIVELY IN  
12 THE VIEW OF THE PEER REVIEW GROUP?

13 DR. GRIESHAMMER: SO, MR. KLEIN, YOU MEAN  
14 FOR THE TWO THAT ARE DIRECT -- THE FIRST TWO WHITE  
15 ONES?

16 CHAIRMAN KLEIN: FOR THOSE TWO AND THE TWO  
17 EXTRAORDINARY PETITIONS.

18 DR. GRIESHAMMER: I SEE. SO FOR  
19 APPLICATION 1512, THE STANDARD DEVIATION WAS 2. AND  
20 YOU ASKED FOR THE RANGE?

21 CHAIRMAN KLEIN: YES.

22 DR. GRIESHAMMER: 70 TO 75. AND ONE  
23 PERSON, ONE SCIENTIST WAS RECUSED.

24 AND ANOTHER POINT.

25 CHAIRMAN KLEIN: AND THEN JUST GO TO THE



## BARRISTERS' REPORTING SERVICE

1 OTHER.

2 DR. GRIESHAMMER: THEN 1507 HAD A STANDARD  
3 DEVIATION OF 9, A RANGE OF 40 TO 80, AND ONE PERSON  
4 RECUSED, ONE SCIENTIST RECUSED.

5 CHAIRMAN KLEIN: AND ON THE TWO  
6 EXTRAORDINARY PETITIONS.

7 DR. GRIESHAMMER: EXTRAORDINARY PETITION  
8 1567, WHICH HAD A SCORE -- A MEAN SCORE OF 65 HAD A  
9 STANDARD DEVIATION OF 6, A RANGE OF 55 TO 75, AND  
10 NOBODY NEEDED TO BE RECUSED, ZERO.

11 AND THE EXTRAORDINARY PETITION FOR 1523,  
12 WHICH HAD A SCORE, A FINAL SCORE OF 63. THE  
13 STANDARD DEVIATION FOR THAT ONE WAS 9, AND IT HAD A  
14 RANGE OF SCORES FROM 50 TO 80, AND ONE PERSON WAS  
15 RECUSED.

16 CHAIRMAN KLEIN: ALL RIGHT. DO WE HAVE A  
17 QUESTION?

18 MR. SHESTACK: THIS IS A BASIS COMPARISON.  
19 WHAT WAS THE RANGE ON GRANT 1645?

20 CHAIRMAN KLEIN: LET ME ASK THE QUESTION.  
21 I DON'T BELIEVE THERE'S ANY CONFLICTS FOR THE  
22 CURRENT SPEAKER; IS THAT CORRECT?

23 MR. HARRISON: THERE ARE NO CONFLICTS.

24 CHAIRMAN KLEIN: THANK YOU.

25 DR. GRIESHAMMER: SO THERE WAS ANOTHER NO.

## BARRISTERS' REPORTING SERVICE

1 1645. WE'RE GETTING THAT. MEANWHILE, WHILE WE'RE  
2 WAITING FOR THAT INFORMATION, I DO WANT TO POINT OUT  
3 ALSO WHAT THE MEDIAN SCORE WAS FOR THE FOUR  
4 APPLICATIONS THAT I JUST MENTIONED BECAUSE THAT  
5 SCORE GIVES YOU AN IDEA IN TERMS OF WHAT THE MIDDLE  
6 GROUND, SO TO SPEAK, WAS IN TERMS OF THE REVIEWERS'  
7 OPINION WHEN THEY EXPRESSED THEIR SCORES BECAUSE 50  
8 PERCENT OF THE REVIEWERS SCORED BELOW THE MEDIAN AND  
9 50 PERCENT OF THE REVIEWERS SCORED ABOVE THE MEDIAN.

10 SO FOR APPLICATION NO. 1512, THE MEDIAN  
11 WAS 70. FOR 1507, THE MEDIAN WAS ALSO 70. 1567,  
12 THE MEDIAN WAS 65. AND FOR 1523, THE MEDIAN WAS 60.

13 CHAIRMAN KLEIN: OKAY. THANK YOU.  
14 ADDITIONAL QUESTIONS? WE'RE GOING TO GO INTO  
15 EXECUTIVE SESSION WHERE WE HAVE AN OPPORTUNITY TO  
16 LOOK AT PROPRIETARY INFORMATION. I THINK IT'S VERY  
17 IMPORTANT TO PUT THIS OUT FOR THE PUBLIC AS WELL AS  
18 THE BOARD SO THAT WE UNDERSTAND THAT SOMETIMES WITH  
19 BREAKING EDGE SCIENCE, THERE ARE DIFFERENCES OF  
20 OPINION THAT ARE SIGNIFICANT. BUT BY PROVIDING THIS  
21 ADDITIONAL DATA INSIGHT, THERE'S AN OPPORTUNITY TO  
22 GAUGE THE VARIANCES IN THAT AS PERCEIVED BY THE PEER  
23 REVIEW GROUP.

24 DR. TROUNSON: CHAIR, JUST IN TERMS OF A  
25 COMMENT ABOUT THE GRANTS WORKING GROUP. THEY ARE

## BARRISTERS' REPORTING SERVICE

1 VERY SENIOR RESEARCHERS, AND THEY'RE CLEARLY  
2 REVIEWING FOR NIH AND MRC AND THE UK MRC, AND OTHER  
3 ORGANIZATIONS. SO IT'S INTERESTING THAT THEY, WITH  
4 RESPECT TO THOSE THAT REVIEW FOR THE NIH, THEY SEE  
5 THIS A LITTLE LIKE WHAT THEY CALL THE OLD NIH  
6 BECAUSE THE CURRENT NIH HAS SUCH A SORT OF LOW  
7 FUNDING SCORE, VERY FEW GRANTS THAT THEY CAN  
8 ACTUALLY GO WITH. THEY'VE GOT A VERY SMALL NUMBER  
9 THAT THEY CAN SUPPORT. HERE THEY FEEL LIKE IT'S THE  
10 OLD NIH WHERE THERE'S SUFFICIENT MONEY FOR THEM TO  
11 EXERCISE THEIR VIEWS.

12 AND I THINK THEY DO, AND THEY'RE UNAFRAID  
13 AS WELL AS GIVING A RANGE WHERE THEY WOULD DIFFER  
14 FROM THEIR COLLEAGUES OR THEY WOULD BE IN CONCERT  
15 WITH THEIR COLLEAGUES. SO IN CONSIDERING THE RANGE,  
16 I THINK YOU NEED TO TAKE IN THE WHOLE RANGE BECAUSE  
17 AT SOME POINT IN TIME THE VALUES OF THE UPSIDE AS  
18 WELL AS THE DOWNSIDE REPRESENT SOMETHING THAT THEY  
19 PROBABLY KNOW OR BELIEVE IS SIGNIFICANT. SO I THINK  
20 A MEDIAN IS PROBABLY A BETTER ESTIMATE OF THE  
21 VARIANCE, BUT WHAT IT DOES, IT TENDS TO NARROW  
22 THINGS DOWN CLOSER. A MEAN TENDS TO SEPARATE THEM  
23 MORE. BUT A MEDIAN IS MAYBE A BETTER REPRESENTATIVE  
24 OF A BIG SPREAD THAN A MEAN. BUT IT DOESN'T CHANGE  
25 VERY MUCH THE POSITION IN THE HIERARCHY.

## BARRISTERS' REPORTING SERVICE

1 SO I THINK THEY DO AN INCREDIBLY GOOD JOB  
2 OF ACTUALLY GETTING THE NUMBERS RIGHT. THE  
3 PROGRAMMATIC IS DIFFERENT BECAUSE THAT'S A DIFFERENT  
4 TOTAL OF REASONING, BUT THE NUMBERS, I BELIEVE, COME  
5 STRONGLY FROM A WELL-ARGUED BASE, AND AT TIMES QUITE  
6 VOCIFEROUS ARGUMENT ABOUT THE MERITS AND NONMERITS  
7 OF THE ACTUAL PROJECT.

8 CHAIRMAN KLEIN: DR. STEWARD AND THEN DR.  
9 HAWGOOD.

10 DR. STEWARD: I HAVE ONLY, I THINK, SAT IN  
11 ON THE MEETING MAYBE TWICE. BUT IF YOU'RE STILL  
12 DOING IT THE SAME WAY AS BEFORE, THE REVIEWERS ALSO  
13 GET THE OPPORTUNITY TO SEE THE ORDER OF THE  
14 APPLICATIONS AT THE END OF THE DAY; IS THAT CORRECT?

15 DR. TROUNSON: YES.

16 DR. STEWARD: IN A LARGE WAY THAT WASHES  
17 OUT A LOT OF THESE VARIANCES THAT MIGHT COME FROM  
18 THE SCORING. IT'S A GREAT WAY TO DO IT BECAUSE THE  
19 REVIEWERS CAN THEN LOOK AT THE ORDER AND SAY, WELL,  
20 YOU KNOW, GEE, I'M REALLY SURPRISED THAT GRANT  
21 NUMBER X IS ACTUALLY ABOVE OR BELOW GRANT NUMBER Y.  
22 THAT TENDS TO, I THINK, ELIMINATE THESE CONCERNS  
23 ABOUT THESE MINOR VARIANCES IN THE SCORING OF  
24 PARTICULAR GRANTS AND THE RANGE AND SO FORTH.

25 DR. TROUNSON: I THINK, GENERALLY

## BARRISTERS' REPORTING SERVICE

1 SPEAKING, IF A REVIEWER -- THE REVIEWERS SEE IT IN  
2 THE 80S AND ABOVE, THAT'S STRONG ENDORSEMENT FOR  
3 THAT PROJECT. THEY SEE IT 60S AND BELOW, THEY'RE  
4 VERY SORT OF MADIOCRE TO I'M NOT INVOLVED IN IT.  
5 THE 70S, THEY CAN GO EITHER WAY, TO BE HONEST.  
6 ABOVE 75 YOU WON'T HAVE ANY ARGUMENT. BELOW 75, NOT  
7 A LOT OF ARGUMENT. SO THAT'S WHERE IT SITS IN  
8 RESPECT TO AND IT HAS FOR THE TIME THAT I'VE BEEN  
9 HERE, JEFF'S BEEN LONGER, AND OTHERS HAVE BEEN  
10 LONGER, BUT I SEE THAT AS REFLECTING THEIR FEELINGS.  
11 AND I DON'T KNOW IF THERE'S ANOTHER CLOUD ABOVE IT  
12 ALL SAYING THEY SHOULD DO ONE OR TWO, BUT I THINK  
13 IT'S A GENUINE FEELING OF HOW THEY -- THE DEGREE OF  
14 RESPECT THAT THEY GIVE TO THAT PARTICULAR PROJECT.

15 DR. HAWGOOD: I WOULD REALLY JUST ECHO  
16 WHAT ALAN IS SAYING. I THINK IT'S EXTREMELY  
17 IMPRESSIVE THAT THE REVIEW BOARD IS USING SUCH A  
18 BROAD SPAN. IT'S SOMETHING YOU REALLY DON'T SEE AT  
19 THE NIH ANYMORE WHERE EVERYTHING IS CRAMMED AT THE  
20 FRONT END, AND IT'S EXTREMELY UNUSUAL TO SEE THIS  
21 BROAD SPAN. I THINK IT ALLOWS GREATER  
22 DISCRIMINATION, AND IT APPEARS TO ME THE SYSTEM IS  
23 WORKING WELL.

24 MR. ROTH: I HAVE A QUESTION ON 1645 TO  
25 JEFF. WAS THAT MOVED UP IN THE PROGRAMMATIC REVIEW?

## BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: YEAH.

2 MR. ROTH: SO THAT WAS THE ONE THAT --

3 MR. SHEEHY: THERE WAS A MOTION TO MOVE IT  
4 UP. FOR THOSE PROGRAMMATIC REASONS THAT I JUST  
5 EXPRESSED TO TODD, I THINK IT WAS APPROVED  
6 UNANIMOUSLY.

7 MR. ROTH: WHO VOTES ON THAT?

8 MR. SHEEHY: EVERYBODY IN THE ENTIRE  
9 REVIEW GROUP. AND THAT MOTION DID SUCCEED WITH A  
10 UNANIMOUS VOTE.

11 MR. ROTH: WHAT WAS THE MEDIAN ON THAT?

12 DR. GRIESHAMMER: THE MEDIAN ON THAT GRANT  
13 WAS 70.

14 MR. SHESTACK: AND WHAT WAS THE RANGE?

15 DR. GRIESHAMMER: 50 TO 85.

16 CHAIRMAN KLEIN: I'D ALSO LIKE TO SAY THAT  
17 THERE IS A PROCESS FOR MINORITY REPORTS. WHEN I WAS  
18 WRITING THE INITIATIVE, DR. BALTIMORE MADE THE POINT  
19 THAT IN BREAKING AREAS OF SCIENCE, YOU HAVE NEW  
20 THEORIES THAT ARE NOT ALWAYS ACCEPTED EARLY, AND  
21 THAT IT'S IMPORTANT TO COMMUNICATE TO THE BOARD FOR  
22 FINAL DECISION AREAS OF GREAT OPPORTUNITY AS  
23 PERCEIVED BY PART OF THE PEER REVIEW GROUP EVEN  
24 THOUGH THAT'S A MINORITY OPINION.

25 THE PROBLEM ON A BEHAVIORAL VIEWPOINT IS

## BARRISTERS' REPORTING SERVICE

1 THAT EVEN THOUGH A NUMBER OF THESE MAY HAVE HAD  
2 SUFFICIENT VOTES TO QUALIFY FOR A MINORITY OPINION,  
3 IT'S DIFFICULT TO GET SOMEONE TO STAND UP AND TAKE  
4 THE LEAD IN OPPOSITION TO THEIR ESTEEMED COLLEAGUES  
5 TO BE THE LEAD TO WRITE THE MINORITY REPORT. JUST  
6 AS AN OBSERVATION OVER THE LAST THREE AND A HALF  
7 YEARS, IT TAKES SOMEONE WHO REALLY WANTS TO BE A  
8 CHAMPION OF A PARTICULAR GRANT TO AGREE TO STAND UP  
9 AGAINST THEIR PEERS AND AGREE TO TAKE THE LEAD ON  
10 WRITING THE MINORITY REPORT.

11 BUT I WOULD POINT OUT THAT WHEN YOU SEE  
12 STANDARD DEVIATIONS IN THE RANGE OF NINE, I HAVE  
13 SOME CONCERN AND I THINK IT IS INCUMBENT UPON THE  
14 BOARD IN THOSE CIRCUMSTANCES TO TAKE PARTICULAR  
15 ATTENTION TO SEE WHAT THE POTENTIAL MERIT IS FROM  
16 THE BOARD'S PERSPECTIVE, THAT MAYBE A DIFFERENT  
17 PERSPECTIVE THAN IS REPRESENTED IN THE PEER REVIEW  
18 GROUP. THE REASON THAT I ASKED FOR THE INFORMATION  
19 ON RECUSALS, AND YOU WILL NOTICE THE RECUSALS WERE  
20 LOW IN THIS CASE, IS SOMETIMES WITH A GROUP OF 15  
21 SCIENTISTS SCORING, YOU CAN HAVE THREE OR FOUR  
22 RECUSALS. IN THAT CASE THERE'S EVEN A GREATER  
23 VULNERABILITY TO NOT HAVING A FULL REPRESENTATION OF  
24 OPINION, AND I THOUGHT THAT ADDITIONAL POINT OF  
25 INFORMATION WOULD BE USEFUL.

## BARRISTERS' REPORTING SERVICE

1 THE STANDARD DEVIATION DOES RAISE THE  
2 POINT, AND THE RANGES THAT ARE CITED, THE RANGES  
3 COULD BE REPRESENTED BY ONE OR TWO PEOPLE; BUT WHEN  
4 YOU SEE A STANDARD DEVIATION THAT'S VERY  
5 SUBSTANTIAL, I THINK IT CERTAINLY PUTS ANOTHER  
6 RESPONSIBILITY ON THIS BOARD TO FULFILL THE  
7 OBLIGATION OF THE INITIATIVE FOR THE VOTERS OF  
8 CALIFORNIA IN LOOKING AT THESE PARTICULARLY CLOSELY.

9 DR. LEVEY AND THEN DR. STEWARD.

10 DR. LEVEY: JUST A POINT OF CLARIFICATION.  
11 SO DO THE MEMBERS OF THE STUDY SECTION THEN CONCUR  
12 IN THIS TYPE OF REALIGNMENT?

13 CHAIRMAN KLEIN: THEY VOTE ON THE OVERALL  
14 SLATE TO BE FORWARDED. BUT, FOR EXAMPLE, IF THERE  
15 IS A VOTE, YOU MAY HAVE A VOTE THAT'S A DIVERGENT  
16 VOTE, SO YOU COULD HAVE SOMETHING FAIL SIX TO FIVE.  
17 AND SO THIS IS NOT A REFLECTION OF A UNANIMOUS  
18 DECISION EXCEPT THAT THERE IS GENERALLY A UNANIMOUS  
19 DECISION ON ALL THOSE BEING MOVED FORWARD WITH THE  
20 EXCEPTION OF THOSE IN WHICH THEY HAVE A CONFLICT.  
21 IS THAT A PROPER STATEMENT, MR. HARRISON?

22 MR. HARRISON: THAT'S CORRECT.

23 DR. SAMBRANO: JUST TO HOPEFULLY BRING  
24 SOME CLARITY TO SOME OF THIS. SO FOR EACH  
25 APPLICATION THAT IS DISCUSSED, THERE IS A MOTION



## BARRISTERS' REPORTING SERVICE

1 THAT IS MADE BY THE GRANTS WORKING GROUP AND  
2 SECONDED BY ANOTHER MEMBER. WHEN DISCUSSED, THE  
3 APPLICATION IS PUT TO A VOTE BY ALL MEMBERS OF THE  
4 WORKING GROUP; THAT IS, THE SCIENTIST AND PATIENT  
5 ADVOCATE MEMBERS. AND SO THE MOTION WILL CARRY BY A  
6 MAJORITY VOTE.

7 IN CASES WHERE THERE IS A 35 PERCENT OR  
8 MORE MINORITY, WE MAKE NOTE OF THAT AND GIVE THE  
9 OPPORTUNITY TO THAT MINORITY TO BRING FORTH THEIR  
10 MINORITY POSITION TO THE BOARD, AND WE REPORT THAT  
11 IN THE SUMMARY IN THE CASES WHERE THAT HAPPENS.

12 NOW, JUST ANOTHER IMPORTANT CLARIFICATION  
13 ABOUT STANDARD DEVIATIONS. THE STANDARD DEVIATION  
14 CAN BE GREAT, BUT IT DOES NOT INDICATE HOW MANY  
15 INDIVIDUALS VOTED OUTSIDE THE RANGE. YOU CAN HAVE  
16 ONE INDIVIDUAL THAT VOTES OUTSIDE THE RANGE AND  
17 STILL HAVE A VERY BROAD STANDARD DEVIATION. SO  
18 THAT'S WHY THE MEDIAN VERSUS THE MEAN IS PROBABLY  
19 YOUR BEST ESTIMATE AND BETTER GUIDE AS TO HOW MUCH  
20 VARIATION OR WHETHER THERE'S A GROUP THAT WAS VOTING  
21 OUTSIDE.

22 CHAIRMAN KLEIN: SO, DR. SAMBRANO, TO MAKE  
23 IT CLEAR, THOUGH, YOU CAN HAVE SUFFICIENT VOTES FOR  
24 A MINORITY REPORT. IN FACT, MORE THAN YOU NEED.  
25 BUT UNLESS SOMEONE IS WILLING TO CHAMPION IT AND

## BARRISTERS' REPORTING SERVICE

1 WRITE THE MINORITY REPORT, YOU DON'T GET A MINORITY  
2 REPORT.

3 DR. SAMBRANO: YOU GET A MINORITY REPORT  
4 IF THEY AGREE TO BRING THEIR POSITION TO THE BOARD,  
5 AND WE CAN SUMMARIZE IT IN THE SUMMARY STATEMENT.  
6 SO THEY DON'T ACTUALLY HAVE TO PRODUCE A DOCUMENT.

7 CHAIRMAN KLEIN: WHILE THEY MAY NOT -- LET  
8 ME JUST GO TO THE BOTTOM LINE AND SAY IT'S CLEAR TO  
9 ME THAT THEY DON'T UNDERSTAND THAT UNLESS THEY  
10 TAKE -- SOMEONE TAKES THE LEADERSHIP TO WRITE A  
11 MINORITY REPORT AND STAND UP AGAINST THEIR PEERS,  
12 THAT THERE'S GOING TO BE A MINORITY REPORT OUT  
13 THERE. I THINK ONE OF THE THINGS WE NEED TO DO WITH  
14 MINORITY REPORTS IS REALLY GET A WRITTEN POLICY THAT  
15 CREATES A VERY GOOD BRIEFING ON THE PHILOSOPHICAL  
16 REASON FOR MINORITY REPORTS. WE LEARN AS WE GO. WE  
17 IMPROVE AS WE GO. AND I THINK THERE'S A DIFFERENCE  
18 OF UNDERSTANDING BETWEEN THE PEER REVIEW SESSIONS.  
19 SOME REALLY HAVE UNDERSTOOD IT QUITE WELL, SOME LESS  
20 SO.

21 DR. TROUNSON: I THINK, CHAIR, YOU'RE NOT  
22 NECESSARILY BEING REASONABLY FAIR ABOUT COMING TO A  
23 CONCLUSION ABOUT WHETHER SCIENTISTS ARE FEARED ABOUT  
24 COMING TO A DIFFERENT POSITION THAN OTHER  
25 SCIENTISTS. I'VE NEVER ACTUALLY SEEN A ROOM FULL OF

## BARRISTERS' REPORTING SERVICE

1 SCIENTISTS THAT HAVE FEARED THAT AT ALL EVER IN MY  
2 WHOLE LIFE. I DON'T THINK THAT'S THE SITUATION.

3 I THINK THAT THEY DO OR THEY DON'T ON THE  
4 BASIS OF HOW STRONGLY THEY FEEL. SOMETIMES THEY  
5 FEEL STRONGLY AND THEY WOULD DO IT. OTHER TIMES  
6 THEY DON'T FEEL THAT THEY COULD BE BOTHERED TO DO IT  
7 OR THEY DON'T FEEL THAT STRONGLY MOTIVATED. I'VE  
8 ACTUALLY NEVER EVER SEEN THEM BACK OFF PUTTING THEIR  
9 POSITION IF THEY REALLY FELT STRONGLY ABOUT IT.  
10 IT'S UNSCIENTIFIC TO DO THAT.

11 CHAIRMAN KLEIN: RATHER THAN FEAR, I'D  
12 CALL IT DEFERENCE, PROFESSIONAL DEFERENCE.

13 DR. STEWARD: THANK YOU. I REALLY HAVE TO  
14 AGREE WITH ALAN ON THIS. I DON'T THINK ANYBODY  
15 WOULD EITHER DEFER OR BE FEARFUL IF THEY REALLY  
16 THOUGHT IT WAS AN IMPORTANT THING TO DO. IT'S  
17 ANOTHER REASON.

18 WITHOUT GOING INTO THAT ANYMORE, I WANTED  
19 TO MAKE ONE POINT. I THINK IT'S ACTUALLY A VERY  
20 INTERESTING IDEA TO CONSIDER THESE OTHER WAYS OF  
21 EVALUATING THE DATA. AND YOU MAKE VERY GOOD POINTS  
22 ABOUT THE IMPORTANCE OF CONSIDERING THE VARIANCE,  
23 AND I THINK ALSO A VERY GOOD POINT ABOUT THE MEDIAN  
24 BEING A BETTER MEASURE PERHAPS THAN THE MEAN.

25 I'M A LITTLE CONCERNED ABOUT PROCESS HERE

## BARRISTERS' REPORTING SERVICE

1 BECAUSE WE' RE SORT OF CHERRY PICKING AROUND THE  
2 EDGES AND ACTUALLY CHERRY PICKING AROUND THE AREA  
3 BELOW THE CUTOFF LINE. THAT ALSO COULD INFORM US  
4 ABOVE THE CUTOFF LINE AS WELL. I JUST WOULD SAY IF  
5 WE' RE GOING TO DO IT, WE OUGHT TO DO IT REGULARLY  
6 AND FOR ALL OF THE APPLICATIONS THAT WE' RE  
7 CONSIDERING. AND I THINK WE CAN DO STANDARD  
8 DEVIATION AND MEDIAN AND WHATEVER ELSE MIGHT BE  
9 VALUABLE, BUT WE SHOULD DO IT CONSISTENTLY FOR ALL  
10 APPLICATIONS AND DO IT REALLY KIND OF IN ADVANCE.

11 CHAIRMAN KLEIN: I THINK THAT' S A GOOD  
12 SUGGESTION. WHAT WE' RE TRYING TO DO IS DEVELOP MORE  
13 DATA THAT' S MEANINGFUL AND ASK THE PRESIDENT TO  
14 ANALYZE YOUR SUGGESTION.

15 SO ARE THERE ANY MORE POINTS THAT WE' RE  
16 GOING TO MAKE? WE ARE GOING TO, AFTER COMING BACK  
17 FROM EXECUTIVE SESSION, FOR THOSE WHO HAVE  
18 EXTRAORDINARY PETITIONS OR WISH TO SPEAK FROM THE  
19 AUDIENCE, WE' RE GOING TO TAKE THOSE COMMENTS. I  
20 BELIEVE SOME OF THOSE PEOPLE MAY NOT BE HERE TONIGHT  
21 BECAUSE THEY THINK THAT THIS IS GOING TO COME BACK  
22 FOR A VOTE IN THE MORNING. SO WHAT I' D LIKE TO DO,  
23 UNLESS THERE IS SEPARATE ADVICE FROM THE BOARD, IS  
24 PERHAPS ADJOURN TO AN EXECUTIVE SESSION AND THEN GO  
25 TO DINNER TONIGHT AND COME BACK TOMORROW. THAT WAY

## BARRISTERS' REPORTING SERVICE

1 WE DON'T HAVE ALL THE AUDIENCE WAITING ON US  
2 TONIGHT. UNLESS THERE IS SOMEONE WHO HAS A COMMENT  
3 TO BE MADE TONIGHT WHO WILL NOT BE HERE TOMORROW.

4 DR. STEWARD: ALONG THOSE LINES, I FEEL A  
5 LITTLE BIT, I GUESS, UNCOMFORTABLE ASKING SOMEONE TO  
6 WAIT WHILE WE HAVE OUR CLOSED SESSION AND DINNER TO  
7 MAKE A BRIEF PRESENTATION. IF THERE WAS SOMEBODY  
8 HERE, COULD WE HEAR BEFORE.

9 CHAIRMAN KLEIN: ABSOLUTELY. THAT WAS MY  
10 POINT IS I DON'T THINK WE'RE GOING TO COME BACK  
11 TONIGHT AFTER OUR CLOSED SESSION AND DINNER BECAUSE  
12 IT COULD BE QUITE LATE. SO, THEREFORE, I WAS ASKING  
13 IF SOMEONE WHO WAS GOING -- WOULD LIKE TO MAKE A  
14 PRESENTATION NOW WHO WILL NOT BE HERE TOMORROW.

15 IF YOU'D LIKE TO GO AHEAD AND PROCEED. IF  
16 YOU WOULD APPROACH THE MIC AND INDICATE YOUR NAME  
17 AND AFFILIATION AND WHAT YOU'RE ADDRESSING.

18 DR. DE ROBERTIS: I THANK YOU FOR THE  
19 OPPORTUNITY. MY NAME IS EDWARD DE ROBERTIS, AND I  
20 PRESENTED THIS PETITION, EXTRAORDINARY PETITION, FOR  
21 GRANT 1523, ENTITLED "WNT/GSK3 AS A GENERAL  
22 REGULATOR OF PROTEIN HALF-LIFE IN HUMAN EMBRYONIC  
23 STEM CELLS."

24 I'D LIKE TO MAKE JUST THREE BRIEF POINTS  
25 ON MY OWN BEHALF, I'M AFRAID, TO SAY THAT I AM A

## BARRISTERS' REPORTING SERVICE

1 SENIOR AND EXPERIENCED DEVELOPMENTAL BIOLOGIST  
2 PARTICULARLY IN THE FIELD OF CELL DIFFERENTIATION.  
3 I HAVE AN M.D. DEGREE FROM URUGUAY AND A PH.D. FROM  
4 LELOIR INSTITUTE IN ARGENTINA, AND I HAVE BEEN AT  
5 UCLA FOR 24 YEARS.

6 AND I WOULD LIKE -- THE FIRST POINT IS  
7 THAT I'M TOTALLY COMMITTED TO THIS RESEARCH IN STEM  
8 CELLS. AND THE REVIEWERS THOUGHT THAT THIS GRANT  
9 WAS INNOVATIVE, AND WE THINK IT'S EXTREMELY ORIGINAL  
10 IN THE SENSE THAT THERE'S AN ENZYME THAT'S  
11 SEQUESTERED WITHIN VESICLES. THIS ENZYME IS CALLED  
12 GLYCOGEN SYNTHASE KINASE 3, AND THAT GIVES WNT  
13 SIGNALING.

14 SO THE SECOND POINT IS THAT I THINK MY  
15 SCORE OF 63 MUST HAVE BEEN IMPACTED BECAUSE THE  
16 REVIEW PANEL, I THINK, WAS IN ERROR IN THINKING THAT  
17 MOST OF THESE EXPERIMENTS COULD JUST BE DONE AS  
18 EASILY IN OTHER CELLS. THAT WAS, I THINK, THE MAIN  
19 OBJECTION. BUT IT TURNS OUT THAT WE DISCOVERED THIS  
20 AS AN ASYMMETRY BETWEEN CELLS IN USING HUMAN STEM  
21 CELLS. THIS IS A PAPER IN *PNAS* IN 2008. HUMAN STEM  
22 CELLS ARE THE ONLY ONES WHICH HAVE OVER 90 PERCENT  
23 OF EACH DIVISION IS ASYMMETRIC ALTHOUGH THESE ARE  
24 THE SYMMETRIC DIVISIONS, NOT THE -- THESE ARE THE  
25 SELF-RENEWING DIVISIONS ARE ASYMMETRIC FOR PROTEINS

## BARRISTERS' REPORTING SERVICE

1 THAT ARE TARGETED FOR DEGRADATION. SO THAT'S HOW WE  
2 FOUND WHY THIS ENZYME, GLYCOGEN SYNTHASE KINASE 3,  
3 IS DIFFERENTIALLY ACTIVE IN CELLS.

4 ALSO HUMAN STEM CELLS ARE THE ONLY CELLS  
5 THAT GIVE US THESE VESICULAR STRUCTURES, WHICH ARE  
6 ON PAGE 2 OF MY REQUEST SHOWN. SO THERE WE CAN  
7 VISUALIZE THEM. AND WE PROPOSE EXPERIMENTS TO SEE  
8 THESE HUMAN STEM CELLS WHEN THEY DIFFERENTIATE  
9 WHETHER THE GSK3 GOES WITH ONE OR THE OTHER. THAT  
10 COULD NOT BE DONE IN ANY OTHER CELL SYSTEM.

11 THIRDLY, THE REVIEWERS THOUGHT THAT I HAD  
12 ONLY BEEN WORKING IN HUMAN STEM CELLS FOR A SHORT  
13 TIME. WE STARTED IN 2006 AND PUBLISHED ONE PAPER IN  
14 2008, LIKE I SAID. AND TO ALLEVIATE THIS WORRY OF  
15 THE REVIEWERS, I HAVE SECURED MENTORSHIP AGREEMENT  
16 WITH DR. MICHAEL TEITEL. SO I DON'T KNOW IF THAT  
17 WILL ALLEVIATE IN ANY WAY, BUT THAT HAS BEEN  
18 E-MAILED TO DR. SAMBRANO.

19 AND WITH THAT, LET ME JUST SAY THAT IF YOU  
20 HAVE THE FUNDS, THEN I WOULD PUT THEM TO VERY GOOD  
21 USE AND HAVE A TEAM IN PLACE, AND WE WILL USE THEM  
22 IMMEDIATELY. THANK YOU VERY MUCH FOR YOUR  
23 ATTENTION.

24 CHAIRMAN KLEIN: THANK YOU.

25 MR. SHEEHY: CAN I ASK A QUESTION. YEAH.

## BARRISTERS' REPORTING SERVICE

1 JUST TO PUT THIS IN CONTEXT AND REALLY FROM A  
2 PROGRAMMATIC POINT OF VIEW, HOW IMPORTANT IS WNT  
3 SIGNALING TO DIFFERENTIATION? IS THIS LIKE A  
4 CRITICAL ELEMENT OF THAT?

5 DR. DE ROBERTIS: WE KNOW THAT ALL STEM  
6 CELLS REQUIRE WNT SIGNALING. SO MAMMARY STEM CELLS  
7 REQUIRE EGF AND WNT. BLOOD STEM CELLS,  
8 HEMATOPOIETIC STEM CELLS, REQUIRE STEM CELL FACTOR  
9 AND WNT. MOUSE EMBRYONIC STEM CELLS REQUIRE LIF AND  
10 WNT. SO WNT IS THE COMMON DENOMINATOR. AND WHAT  
11 THIS PROJECT SAYS, IN A WAY I THINK IT WILL CHANGE  
12 THE PARADIGM OF HOW WE THINK ABOUT WNT, IS TELLING  
13 THAT WNT STABILIZES HUNDREDS OF PROTEINS WHEN THIS  
14 WORKS. SO WNT IS NOT WHAT WE THINK WE SAY UP TO  
15 NOW, JUST SIGNALING THROUGH A PROTEIN CALLED BETA  
16 CATENIN, WE SAY IT'S THROUGH THE DECREASING THE  
17 PHOSPHORYLATIONS OF HUNDREDS OF PROTEINS, ALL OF  
18 WHICH HAVE VARIOUS EFFECTS IN METABOLISM. SO WNT IS  
19 A SIGNAL, IN OUR VIEW, THAT TELLS CELLS KEEP YOUR  
20 PROTEINS WITHOUT DEGRADING THEM FOR A LITTLE BIT  
21 LONGER. THAT'S THE MAIN HYPOTHESIS.

22 MR. SHEEHY: WE ABSOLUTELY HAVE TO  
23 UNDERSTAND THIS IF WE'RE GOING TO UNDERSTAND  
24 SELF-RENEWAL AND DIFFERENTIATION. I CAN'T GET -- MY  
25 TONGUE IS TOO BIG TODAY. IF WE'RE GOING TO



## BARRISTERS' REPORTING SERVICE

1 UNDERSTAND SELF-RENEWAL AND DIFFERENTIATION, WE HAVE  
2 TO UNDERSTAND WNT. DIFFERENTIATION. THANK YOU.

3 DR. DE ROBERTIS: YES. THAT, OF COURSE,  
4 IS WELL-KNOWN. IT'S NOT FROM MINE. IT IS THE  
5 CRITICAL ELEMENT IN SELF-RENEWAL OF ALL STEM CELLS.

6 MR. SHEEHY: NO. BUT IT HELPS US FROM A  
7 PROGRAMMATIC POINT OF VIEW LOOKING AT WHETHER OR  
8 NOT, YOU KNOW -- WE NEED TO BE INVESTED IN THIS  
9 PARTICULAR AREA TO KNOW THAT THIS IS A VERY CRITICAL  
10 AREA.

11 CHAIRMAN KLEIN: ALL RIGHT. AND I WOULD  
12 INDICATE THAT IN THE FRONT COVER OF YOUR PACKET,  
13 THERE'S A LETTER FROM DR. OWEN WITTE FROM UCLA  
14 SUPPORTING THIS PETITION.

15 CAN I JUST ASK ONE MORE QUESTION BEFORE  
16 YOU LEAVE? IS IT MY UNDERSTANDING YOU'RE SAYING  
17 THAT THE REVIEW FOCUSED ON THINKING THAT THIS  
18 PARTICULAR ANALYSIS COULD BE DONE WITH ANY TYPE OF  
19 CELL; BUT, IN FACT, SPECIFICALLY YOU'RE SAYING THAT  
20 YOU HAVE UNIQUELY FOUND THIS TYPE OF ASYMMETRIC CELL  
21 DIVISION IN HUMAN CELLS OF THE TYPE BEING STUDIED?

22 DR. DE ROBERTIS: YES. SO I THINK THAT  
23 HAS TO HAVE IMPACTED THE SCORE GREATLY, OF COURSE,  
24 BECAUSE THEY WERE LOOKING FOR APPLICATIONS ON STEM  
25 CELLS. THE IDEA IS, WELL, THIS DOESN'T REALLY APPLY

## BARRISTERS' REPORTING SERVICE

1 TO STEM CELLS. SO --

2 MR. SHEEHY: THAT WAS THE CENTRAL  
3 CRITICISM. IT WAS LIKE YOU DIDN'T HAVE TO DO THIS  
4 IN EMBRYONIC CELLS IS WHAT THE CRITICS --

5 DR. DE ROBERTIS: THAT WAS THE ARGUMENT.  
6 MY ARGUMENT IS WE WOULD HAVE NEVER DISCOVERED THIS  
7 IF WE WERE NOT WORKING IN HUMAN STEM CELLS, AND NOW  
8 WE COULD LET THEM GO. IN FACT, IT'S THE ONLY ONES  
9 IN WHICH WE CAN FOLLOW THESE VESICLES AND THESE  
10 ASYMMETRIES WHEN CELLS DIVIDE. PEOPLE THINK THAT  
11 ALWAYS SELF-RENEWING ARE SYMMETRIC. SELF-RENEWING  
12 DIVISIONS, I SAY, ARE NOT SYMMETRIC, SO THIS IS A  
13 VERY UNUSUAL KIND OF GRANT.

14 CHAIRMAN KLEIN: DR. TROUNSON, I THINK, TO  
15 HAVE A BALANCE HERE, IT WOULD BE APPROPRIATE FOR THE  
16 STAFF, IF THEY HAVE A RESPONSE TO THIS, TO DO A  
17 PRESENTATION.

18 DR. TROUNSON: YEAH. I THINK THIS IS AN  
19 IMPORTANT AREA, I THINK, AS WE'VE BASICALLY HEARD.  
20 I THINK THERE ARE PEOPLE WHO WORK ON THESE -- ON  
21 SOME OF THESE AREAS WHO REALLY HAVE A REALLY  
22 PROFOUND INFLUENCE IN THE AREA. I NOMINATE SOMEONE  
23 LIKE AUSTIN SMITH FROM THE UK AS BEING A HUGELY  
24 INFLUENTIAL PERSON IN UNDERSTANDING SELF-RENEWAL AND  
25 DIFFERENTIATION WITH THESE KIND OF TOOLS.

## BARRISTERS' REPORTING SERVICE

1 I THINK WHAT IS REALLY INTERESTING HERE,  
2 FROM MY OWN PERSPECTIVE, IS THAT THIS HAS A  
3 PARTITIONING EFFECT WHICH IS NOT SIMPLY THE CELL  
4 DIVISION. THE MOLECULES ARE BEING PARTITIONED  
5 DIFFERENTLY BECAUSE THEY'RE IN THE LYSOSOME. SO YOU  
6 CAN -- IN THE INTRACELLULAR STRUCTURES YOU CAN GET A  
7 DIFFERENTIAL PARTITIONING OF THE PRODUCT SO THAT IF  
8 YOU GET MORE IN A CELL OR LESS IN A CELL BECAUSE  
9 YOU'VE GOT A DIFFERENCE IN THE PARTITIONING, THAT  
10 COULD LEAD TO A DIFFERENT RESPONSE IN THE CELL.

11 SO IT HAS -- IF THIS IS PROVEN TO BE THE  
12 CASE, THEN I THINK THE QUESTION IS CAN YOU THEN HAVE  
13 OTHER LABS INDEPENDENTLY SUPPORT THIS WORK AND SO  
14 FORTH. IT STARTS TO BECOME A PRETTY IMPORTANT AREA  
15 FOR RESEARCH DOWNSTREAM.

16 I THINK THE -- SO I SEE IT SLIGHTLY  
17 DIFFERENTLY TO THE REVIEWERS, AND I SENSE THAT'S  
18 WHAT YOU WERE TRYING TO SOURCE FROM ME. BUT ON THE  
19 OTHER HAND, THE REVIEWERS, GOOD PEOPLE THEY ARE,  
20 INDEED, THEY SAW THAT THERE WAS SOME DEFICIENCIES IN  
21 THE PROJECT BECAUSE WNT IS A VERY COMMON MOLECULE IN  
22 LOTS OF CELL TYPES, AND THAT YOU COULD LOOK AT THIS  
23 PROCESS IN A NUMBER OF DIFFERENT CELL TYPES. AND,  
24 YES, YOU COULD AND YOU COULD LOOK AT THE MOUSE AND  
25 YOU COULD LOOK AT SOME OF THE ORGANISMS, MORE

## BARRISTERS' REPORTING SERVICE

1 PRIMITIVE ORGANISMS, AND YOU COULD LOOK AT DIFFERENT  
2 CELL TYPES, BUT YOU MIGHT GET A DIFFERENT OUTCOME.  
3 WE'RE TALKING ABOUT DIFFERENTIATION IN DEVELOPMENT.  
4 THAT MIGHT BE QUITE DIFFERENT TO A TISSUE REPLACING  
5 ITSELF OR AN ANIMAL PLENARIUM OR A DIFFERENT KIND OF  
6 ANIMAL WHICH IS PARTITIONING ITS -- DOESN'T OR DOES  
7 PARTITION THESE MOLECULES IN OTHER WAYS.

8 SO IF THE HUMAN, FOR EXAMPLE, IS  
9 PARTITIONING THESE KINDS OF MOLECULES IN THIS WAY,  
10 IF THE HUMAN IS DOING THAT AND THE MOUSE AND SOME  
11 OTHER SPECIES DON'T, IT'S IMPORTANT, ISN'T IT?  
12 THAT'S A MECHANISM THAT WE NEED TO UNDERSTAND. SO  
13 WE'RE REALLY DEEP DOWN IN THE WEEDS, IF YOU LIKE, IN  
14 THE SCIENCE OF THE SUBJECT. AND, THEREFORE, I THINK  
15 YOU SAW THE REVIEWERS HAVE A DIFFERENTIAL VIEW OF  
16 HOW IMPORTANT IT WAS. SOME PEOPLE THOUGHT IT WAS  
17 REALLY IMPORTANT AND THEY GAVE IT A HIGH SCORE. I  
18 THINK THERE WAS AN 80, IF I RECALL WHAT WAS SAID.  
19 AND OTHERS THOUGHT, WELL, YOU KNOW, YOU COULD DO  
20 THIS IN ANY CELL TYPE AND WHY BOTHER TO HAVE TO GO  
21 TO THE HUMAN EMBRYONIC STEM CELL TO DO IT.

22 SO I THINK WE COULD -- I THINK IT BECOMES  
23 ONE OF THOSE ISSUES IN THE SCIENCE WHERE YOU HAD A  
24 MARK WHICH FELL BELOW WHAT WE WOULD NORMALLY SCORE.  
25 SO ON THE MAJORITY THEY FELT THAT IT REALLY DIDN'T

## BARRISTERS' REPORTING SERVICE

1 GET UP TO THE STANDARD. BUT I HAVE A VIEW AND YOU  
2 MIGHT HAVE A VIEW THAT IS THE SAME OR DIFFERENT TO  
3 THAT. BUT ARGUABLY THIS IS AN INTERESTING NEW  
4 MECHANISM THAT'S BEEN POINTED OUT BY SOME, I THINK,  
5 PRETTY NEAT SCIENCE. DOES IT DESERVE TO BE FUNDED?  
6 I THINK THAT'S A MATTER FOR THE ICOC TO DECIDE. I  
7 THINK IT'S A PRETTY INTERESTING APPROACH, BUT  
8 COLLEGIATE REVIEWERS, AND I, AS YOU KNOW, CHAIR, I  
9 DON'T SPEAK UP DURING THE REVIEWS, AND I TRY NOT TO  
10 INFLUENCE IT IN ANY WAY. BUT I HAVE A SET OF VIEWS  
11 AS A SCIENTIST WHEN I READ THESE THINGS, AND THEY'RE  
12 NOT ALWAYS CONSISTENT WITH THE AVERAGE OR THE EXTENT  
13 TO WHICH THOSE THINGS HAPPEN.

14 ESSENTIALLY I THINK THERE IS GOOD REASON,  
15 GOOD MECHANISM, AND INTERESTING TO GO FORWARD ON.  
16 ON THE OTHER HAND, THE REVIEWERS SAW IT IN GENERAL  
17 TO BE SHIFTED DOWN IN THAT LEVEL THAT'S BELOW WHAT  
18 WE CALL THE 70S WHERE WE WOULD FEEL COMFORTABLE  
19 GOING EACH WAY.

20 CHAIRMAN KLEIN: THANK YOU. DUANE AND  
21 THEN JOAN SAMUELSON.

22 MR. ROTH: AGAIN, JUST THE PROCESS THAT  
23 WE'VE SET UP FOR THESE EXTRAORDINARY PETITIONS IS  
24 THAT THEY SUBMIT AN EXTRAORDINARY PETITION AND WE  
25 ANSWER IN A LETTER. AND I THINK NOT HAVING THE

## BARRISTERS' REPORTING SERVICE

1 BENEFIT OF HEARING ALL THE REVIEWERS AND THEIR  
2 CONCERNS, FOR US TO DEBATE IN PUBLIC THE PROS AND  
3 CONS OF THESE IS A SLIPPERY SLOPE.

4 CHAIRMAN KLEIN: THAT IS THE BASIS ON  
5 WHICH PEER REVIEW WAS ESTABLISHED UNDER THE  
6 INITIATIVE.

7 MR. ROTH: I'M SORRY. WHAT I WAS TRYING  
8 TO SAY, MR. CHAIRMAN, IS WE HAVE A PROCESS WHERE  
9 THEY SUBMIT THE EXTRAORDINARY PETITION AND WE  
10 RESPOND TO IT. AND STAFF HAS RESPONDED TO THIS.  
11 AND IF WE'RE GOING OPEN IT UP IN ADDITION, THEN, TO  
12 HAVE A DEBATE ABOUT THE MERITS OF THE GRANT, THEN  
13 EXPECT EVERYONE TO SHOW UP HERE AND ONE-OFF DEBATE  
14 BACK AND FORTH. I DON'T THINK THAT'S WHAT WE WANT  
15 TO DO.

16 CHAIRMAN KLEIN: UNLESS THERE IS A  
17 SUBSTANTIAL CASE, I DOUBT THAT MANY PEOPLE ARE GOING  
18 TO SHOW UP HERE BECAUSE THEY'RE GOING TO HAVE TO  
19 HAVE A VERY COMPELLING CASE. BUT FUNDAMENTALLY OUR  
20 STATUTORY AUTHORITY IS BASED ON THE FACT THAT WE  
21 WILL DEBATE ISSUES HERE AND MAKE DIFFERENT DECISIONS  
22 THAN THE PEER REVIEW GROUP IF WE ARE GOING TO RETAIN  
23 THE ABILITY TO HAVE CONFIDENTIAL PEER REVIEW. WE  
24 SUBSTANTIVELY HAVE TO BE MAKING DECISIONS.

25 NOW, HOPEFULLY IN THE GREAT MAJORITY OF

## BARRISTERS' REPORTING SERVICE

1 CASES, PEER REVIEW WILL STAND. AND WE HAVE VERY  
2 GOOD RESPONSES FROM THE SCIENTIFIC STAFF SUMMARIZING  
3 THE COMMENTS. THEY'RE VERY INFORMATIVE. AND I  
4 THINK WE'VE SHOWN A HISTORY, EVEN WITH THE  
5 EXTRAORDINARY PETITION PROCESS IN PLACE, WHERE THOSE  
6 COMMENTS ARE RESPECTED AND PEOPLE COME BACK IN OTHER  
7 CYCLES AND APPLY WITH THE BENEFIT OF THAT  
8 INFORMATION. DO WE HAVE ANOTHER? JOAN SAMUELSON.

9 MS. SAMUELSON: ALAN, I HAD A FOLLOW-UP  
10 QUESTION, I GUESS. ARE THERE OTHER SCIENTISTS IN  
11 CALIFORNIA THAT YOU'RE AWARE OF WHO ARE WORKING IN  
12 THE SAME AREA?

13 DR. TROUNSON: I MENTIONED SOMEONE  
14 OVERSEAS BECAUSE I THINK THAT PERSON IS REALLY ONE  
15 OF THE BEST STEM CELL SCIENTISTS IN THE WORLD WHO  
16 WORKS IN A KIND OF SIMILAR AREA. I DON'T THINK WE  
17 HAVE ANYBODY --

18 MS. SAMUELSON: HE'S OUT OF THE COUNTRY.  
19 DIDN'T YOU SAY UK?

20 DR. TROUNSON: YEAH. BUT I DON'T THINK WE  
21 HAVE ANYBODY AT THAT SAME LEVEL HERE, BUT THAT MIGHT  
22 BE DOING A DISSERVICE TO A GOOD FRIEND OF MINE,  
23 MARTIN PERA AND A FEW OTHERS. IN THE SENSE OF IT, I  
24 JUST THINK THAT THIS AREA IS A REALLY INTERESTING  
25 AREA AND IT HAS A PROFOUND INFLUENCE IF IT IS THEN

## BARRISTERS' REPORTING SERVICE

1 SHOWN TO BE EFFECTIVE. AND THERE ARE SOME PEOPLE  
2 OVERSEAS WHO HAVE MADE THIS KIND OF AREA VERY  
3 INFLUENTIAL AND VERY IMPORTANT.

4 SO THE SHORT ANSWER IS TO YOU THAT I DON'T  
5 THINK WE HAVE PEOPLE AT THAT LEVEL, BUT WE HAVE SOME  
6 VERY GOOD BASIC STEM CELL BIOLOGISTS NEVERTHELESS  
7 HERE.

8 MS. SAMUELSON: BUT IT MAY BE THAT IF THIS  
9 IS AN IMPORTANT AREA THAT ISN'T IN THE CALIFORNIA  
10 PORTFOLIO AND IT MIGHT NEVER BE.

11 CHAIRMAN KLEIN: I THINK MAYBE THE  
12 PRESIDENT, CORRECT ME, PLEASE, IF I'M WRONG, IS  
13 MAKING THE POINT RELATED TO THIS SPECIFIC APPROACH  
14 BECAUSE WE HAVE MEMBERS OF THE CALIFORNIA SCIENTIFIC  
15 COMMUNITY THAT WERE INVOLVED IN THE DISCOVERY OF WNT  
16 SIGNALING WHO ARE PART OF OUR TEAMS THAT WE  
17 PREVIOUSLY APPROVED, AND THEY'RE INVOLVED IN DISEASE  
18 STUDIES, BUT MAY NOT BE INVOLVED IN THIS SPECIFIC  
19 AREA OF WNT SIGNALING. MAYBE A NARROWER STATEMENT  
20 IS MORE APPROPRIATE.

21 DR. PIZZO: I WOULD ABSOLUTELY AGREE WITH  
22 THAT. I THINK YOU WOULD AGREE THERE ARE MANY  
23 PEOPLE -- THAT WNT IS A CRITICALLY IMPORTANT PATH  
24 AND MANY PEOPLE WORKING ON THIS. I WOULD BE REMISS  
25 NOT TO RECOGNIZE SOME OF OUR OWN INSTITUTION.



## BARRISTERS' REPORTING SERVICE

1 DR. TROUNSON: I KNEW I WAS GOING TO GO  
2 THERE.

3 CHAIRMAN KLEIN: I THINK IT MIGHT HAVE  
4 BEEN INTENDED IN A NARROWER FORMAT THAN IT WAS  
5 STATED.

6 MS. SAMUELSON: THEN I WANT TO ASK YOU THE  
7 NEXT FOLLOW-UP QUESTION. ARE YOU SAYING THERE ARE  
8 PEOPLE IN CALIFORNIA WHO ARE WORKING SPECIFICALLY IN  
9 THIS AREA NOW?

10 DR. PIZZO: THERE ARE PEOPLE WHO ARE  
11 WORKING IN WNT SIGNALING IN RELATIONSHIP TO MANY  
12 DIFFERENT DISEASE PROCESSES, INCLUDING CANCER AND  
13 STEM CELL BIOLOGY, YES.

14 CHAIRMAN KLEIN: BUT THEY MAY NOT BE  
15 WORKING ON THIS SPECIFIC.

16 DR. PIZZO: NOT THE SPECIFIC. I THINK THE  
17 DIRECTION THAT WAS ARTICULATED IS AN INTERESTING  
18 VARIANCE ON THE CURRENT GOVERNING HYPOTHESIS, AND I  
19 THINK THAT IS WHAT'S UNIQUE ABOUT IT.

20 CHAIRMAN KLEIN: RIGHT.

21 DR. TROUNSON: THAT'S EXACTLY RIGHT.

22 CHAIRMAN KLEIN: SO ADDITIONAL. DR.  
23 STEWARD.

24 DR. STEWARD: JUST BEFORE WE GO TO THE  
25 CLOSED SESSION, I WONDER COULD YOU, SOMEONE, JUST

## BARRISTERS' REPORTING SERVICE

1 REALLY BRIEFLY HIGHLIGHT THE ISSUES THAT CAME UP  
2 WITH THE TWO RIGHT BELOW THE LINE THAT ACTUALLY HAVE  
3 HIGHER SCORES THAN THE NUMBER 66 ABOVE THE LINE? IS  
4 THAT SOMETHING WE CAN DO IN A PUBLIC SESSION?

5 CHAIRMAN KLEIN: DR. TROUNSON, COULD  
6 SOMEONE FROM THE STAFF ADDRESS THOSE TWO THAT ARE  
7 RIGHT BELOW THE FUNDING LINE?

8 DR. OLSON: SO WHAT I WILL DO IS FIRST  
9 ADDRESS THE APPLICATION NO. 1512. AND BRIEFLY WHAT  
10 I WANT TO DO IS JUST STATE I THINK MANY OF YOU KNOW  
11 THAT ONE OF THE AREAS THAT IS THE SUBJECT OF ACTIVE  
12 RESEARCH IS ESSENTIALLY THE GENERATION OF  
13 CARDIOMYOCYTES FROM PLURIPOTENT STEM CELLS, BE THEY  
14 HUMAN EMBRYONIC STEM CELLS OR BE THEY IPS CELLS.  
15 AND ONE OF THE ISSUES THAT HAS ARISEN WITH RESPECT  
16 TO THE GENERATION OF THOSE CELLS IS, IN FACT, THE  
17 HETEROGENEITY AND THE IMMATURITY OF ESSENTIALLY THE  
18 CELLS THAT ARE DERIVED. SO BY THAT I MEAN THEY ARE  
19 ELECTROPHYSIOLOGICALLY IMMATURE, AND THAT IS A  
20 CONCERN BECAUSE IF YOU ARE GOING TO TALK ABOUT  
21 PUTTING A PROGENITOR CELL IN PEOPLE WITH HEART  
22 DISEASE OR SOMETHING LIKE THAT AND YOU DON'T REALLY  
23 KNOW HOW IT'S GOING TO MATURE OR DEVELOP, WHAT YOU  
24 COULD END UP GETTING IS YOU COULD GET A SET OF CELLS  
25 THAT HAVE, SAY, PACEMAKER FUNCTION. AND SO THEN YOU

## BARRISTERS' REPORTING SERVICE

1 GENERATE ARRHYTHMI AS.

2 SO WHAT THIS -- THE PURPOSE OF THIS AWARD  
3 ESSENTIALLY IS TO -- THE GOAL OF THIS PROPOSAL IS TO  
4 EXPLORE THE CELLULAR FACTORS AND THE MOLECULAR  
5 PATHWAYS THAT CONTROL THE ELECTROPHYSIOLOGICAL  
6 MATURATION OF HUMAN PLURIPOTENT CELLS TO  
7 CARDIOMYOCYTES. AND WHAT THEY WANT TO DO IS THEY  
8 ESSENTIALLY WANT TO RECAPITULATE IN WHAT I'LL CALL A  
9 MODEL SYSTEM. SO OBVIOUSLY WHEN CARDIOMYOCYTES  
10 DEVELOP IN AN EMBRYO, THERE ARE POSITIONAL SIGNALS,  
11 THERE ARE LIKELY PARACRINE SIGNALS, SO THEY WANT TO  
12 REPLICATE A NICHE. AND THE NICHE THAT THEY ARE  
13 CHOOSING TO REPLICATE IS ESSENTIALLY AN EMBROID  
14 BODY.

15 SO THEY'VE GOT A MODEL SYSTEM. THEY'RE  
16 SAYING WE WILL GENERATE CARDIOMYOCYTES IN AN EMBROID  
17 BODY FORMAT TO STUDY ELECTROPHYSIOLOGICAL  
18 MATURATION. THEY DEVELOPED SOME VERY NICE  
19 TECHNOLOGIES WHICH ALLOW THEM TO PURIFY OUT THE  
20 CARDIOMYOCYTES FROM AN EMBROID BODY POPULATION TO  
21 THEN KEEP THEM IN CULTURE EITHER WITH OR WITHOUT  
22 ADD-BACK OF NONCARDIOMYOCYTE CELLS AND LOOK AT THE  
23 EFFECT OF ADDING NONCARDIOMYOCYTE CELLS FROM THE  
24 EMBROID BODY TO THIS TO TRY AND DISSECT THE  
25 CONTRIBUTION OF SPECIFIC PATHWAYS.

## BARRISTERS' REPORTING SERVICE

1           THEY ARE, IN FACT, FOCUSED ON SPECIFIC  
2   PARACRINE PATHWAYS THAT THEY BELIEVE ARE CONTRIBUTED  
3   BY THE NONCARDIOMYOCYTE CELLS, AND THEY'RE TRYING TO  
4   DETERMINE THE CONTRIBUTION OF THOSE SPECIFIC  
5   PATHWAYS, OR IS IT MORE THAN THAT FROM THIS CELL TO  
6   THIS ELECTROPHYSIOLOGICAL MATURATION AND, THEREFORE,  
7   TO BEING ABLE TO ACTUALLY ACHIEVE THE TYPE OF MATURE  
8   CARDIOMYOCYTES THAT YOU WANT. THAT'S WHAT THEY'RE  
9   TRYING TO DO.

10           SO THEIR AIMS ARE ESSENTIALLY -- AIMS 1  
11   AND 2 WILL USE CALCIUM IMAGING AND  
12   ELECTROPHYSIOLOGICAL RECORDING METHODS TO  
13   CHARACTERIZE THE PHENOTYPES AND THE MATURATION OF  
14   THE CELLS IN THESE TWO DIFFERENT -- WITH A FOCUS ON  
15   THESE TWO PARACRINE PATHWAYS, AND THEN THEY WILL  
16   APPLY WHAT THEY'VE LEARNED IN THOSE AIMS TO IPS  
17   CELLS.

18           DO YOU WANT TO HEAR THE GENERAL STRENGTHS  
19   AND WEAKNESSES?

20           CHAIRMAN KLEIN: I THINK THAT WOULD BE  
21   VALUABLE IN TERMS OF THE REQUEST, YES.

22           DR. OLSON: OBVIOUSLY THIS IS AN IMPORTANT  
23   TOPIC TO TALK ABOUT USING CARDIOMYOCYTES  
24   THERAPEUTICALLY. YOU HAVE TO UNDERSTAND THE  
25   MECHANISM OF MATURATION, AND YOU HAVE TO BE ABLE TO

## BARRISTERS' REPORTING SERVICE

1 GET WHAT YOU WANT. AND THE REVIEWERS DEFINITELY  
2 CITED THE SIGNIFICANCE IN TERMS OF THAT. THAT WAS A  
3 STRENGTH.

4 THEY ALSO APPRECIATED THERE IS A STRONG  
5 MECHANISTIC FOCUS TO THIS GRANT, THE EMPHASIS ON THE  
6 ELECTROPHYSIOLOGICAL CHARACTERIZATION AND THAT  
7 INNOVATIVE CO-CULTURE SYSTEM. SO I TOLD YOU ABOUT  
8 THE TECHNOLOGIES THAT ALLOW PURIFICATION OF THE  
9 CARDIOMYOCYTE FRACTION AND THEN THE ADD-BACK  
10 STUDIES. THAT'S ACTUALLY A VERY CLEVER WAY TO LOOK  
11 AT THIS. SO THEY LIKED ALL THOSE THINGS.

12 THEY AGREED THAT THE RESEARCH PLAN, THOUGH  
13 DENSE -- AND I CAN DEFINITELY CORROBORATE THAT. I  
14 READ THAT APPLICATION. IT IS AN INCREDIBLY DENSE  
15 APPLICATION -- IT'S LOGICAL. IT HAS ADEQUATE  
16 MILESTONES IN IT. AND IT HAS A VERY SOLID AND  
17 CONVINCING SET OF PRELIMINARY DATA. SO IT HAD GOOD  
18 PRELIMINARY DATA THEY BELIEVE THEY CAN DO THIS.

19 THE FIGURES DID MAKE IT HARD TO -- THE  
20 FEASIBILITY TO ASSESS THE MERITS AND THE TECHNICAL  
21 DETAILS, THEY COULDN'T REALLY TELL FROM SOME OF THE  
22 FIGURES. THEY THOUGHT THAT A LOT OF THE PROPOSAL  
23 WAS REALLY FOCUSED ON A VERY DETAILED METHODS  
24 DESCRIPTION. SO IN THE ACTUAL RESEARCH PLAN, IT'S A  
25 VERY DETAILED METHOD DESCRIPTION IN LIEU OF A CLEAR

## BARRISTERS' REPORTING SERVICE

1 RATIONALE. WHAT'S THE EXPERIMENTAL RATIONALE FOR  
2 THESE EXPERIMENTS, THE PLAN AND THE EXPECTED  
3 OUTCOMES, AS WELL AS THE LIMITATIONS OF THE PROPOSED  
4 METHODOLOGIES THAT FOCUS ON VERY DETAILED  
5 EXPERIMENTAL, HOW METHODOLOGIES LIMITED -- THEY LEFT  
6 OUT SOME THINGS LIKE HOW MANY LINES ARE GOING TO BE  
7 ANALYZED. AND THEY WERE CONCERNED ABOUT THAT  
8 BECAUSE THEY EVEN STATE IN THEIR PRELIMINARY DATA  
9 THAT THERE'S VARIABILITY IN THE ELECTROPHYSIOLOGICAL  
10 PROFILE OF THE CARDIOMYOCYTE FRACTION FROM DIFFERENT  
11 CELL LINES.

12 BUT I THINK THE SINGLE -- ONE OF THE  
13 BIGGEST ISSUES HAD TO DO WITH THE FACT THAT THEY  
14 WERE NOT CHARACTERIZING THE NONCARDIOMYOCYTE  
15 FRACTION. AND THE REASON FOR -- LET ME JUST POINT  
16 OUT TO YOU THAT THE CARDIOMYOCYTE FRACTION OF AN  
17 EMBROID BODY POPULATION IS ONLY ABOUT 7 PERCENT.  
18 AND SO WHEN YOU HAVE A NONCARDIOMYOCYTE FRACTION  
19 THAT CONSTITUTES 93 PERCENT, HOW ARE YOU GOING TO  
20 DRAW RELEVANT CONCLUSIONS IF YOU DON'T CHARACTERIZE  
21 THAT FRACTION? SO THAT WAS, I THINK, ONE OF THE --  
22 IT WAS A SOURCE OF CONCERN. IT WAS FELT TO  
23 INTRODUCE VARIABILITY INTO THE ANALYSIS THAT WOULD  
24 COMPLICATE, THAT WOULD REALLY COMPLICATE  
25 INTERPRETATION. SO I THINK THAT WAS ONE OF THE

## BARRISTERS' REPORTING SERVICE

1 CONCERNS.

2 THERE WAS ALSO THE FACT WHY DID THEY  
3 CHOOSE THESE TWO PARTICULAR SIGNALING SYSTEMS TO  
4 FOCUS ON WHEN THERE HAVE BEEN CLEARLY RECENT  
5 PUBLICATIONS IN THE LITERATURE THAT IMPLICATE OTHER  
6 SIGNALING SYSTEMS AS WELL. SO THERE WAS A QUESTION  
7 ABOUT WHY THIS FOCUS AS OPPOSED TO NOT BEING A  
8 BROADER IMPACT. SO I THINK THOSE WERE SOME OF THE  
9 PRIMARY CRITICISMS.

10 THEY DID NOTE THEY THOUGHT IT WAS A GREAT  
11 RESEARCH GROUP, A GREAT TEAM. THEY HAD THE RIGHT  
12 PEOPLE TO DO THE EXPERIMENTS, SO THERE'S NO QUESTION  
13 THAT THAT WAS THE CASE. SO OVERALL I THINK IT WAS A  
14 MATTER OF THEY APPRECIATED THE FOCUS OF THE PROPOSAL  
15 ON AN IMPORTANT PROBLEM, ON THE ELECTROPHYSIOLOGICAL  
16 MATURATION, THE STRENGTH OF THE TEAM, BUT THEY  
17 REALLY WERE CONCERNED ABOUT THE FEASIBILITY  
18 ESSENTIALLY GIVEN, I THINK, THE POTENTIAL FOR THE  
19 DISPROPORTIONATE EMPHASIS ON METHODOLOGY AS WELL AS  
20 THE QUESTIONS RELATED TO FEASIBILITY. THANKS.

21 DR. PIZZO: COULD YOU JUST COMMENT FURTHER  
22 WHEN YOU SAY --

23 CHAIRMAN KLEIN: THIS IS DR. PIZZO FOR  
24 THOSE ON THE AUDIO PROGRAM.

25 DR. PIZZO: I'M SORRY. IT IS ME. COULD

## BARRISTERS' REPORTING SERVICE

1 YOU JUST COMMENT FURTHER ON THE ISSUE OF THE  
2 SIGNALING SYSTEMS THAT THEY CHOSE AND THE CONCERN  
3 THAT WAS RAISED AS TO WHETHER THEY WERE IN LINE WITH  
4 RECENT PUBLICATIONS? WAS THAT ALSO A STATEMENT  
5 ABOUT WHETHER THE MEASURES THEY CHOSE WERE  
6 INAPPROPRIATE? THE QUESTION IS SO THEY'RE  
7 DIFFERENT. DOES THAT MEAN --

8 DR. OLSON: I THINK IT WAS A MATTER OF --  
9 IT WAS PART OF THE RATIONALE ARGUMENT. DID THEY --  
10 SO THERE IS, I BELIEVE, PUBLISHED EVIDENCE AS WELL  
11 AS PRELIMINARY DATA PRESENTED IN THE APPLICATION  
12 THAT THE PATHWAYS THAT THEY DID CHOOSE TO FOCUS ON  
13 MAY HAVE SOME RELATIONSHIP TO THIS OR MAY BE  
14 IMPORTANT. I THINK THE QUESTION WAS THE ONES THAT  
15 WEREN'T, THEY WEREN'T DISCUSSED AT ALL. THEY WERE  
16 NOT ACKNOWLEDGED.

17 DR. PIZZO: I UNDERSTAND THAT, BUT JUST TO  
18 BE CLEAR, SO THE REVIEWERS HAD THEIR PREFERENTIAL  
19 PATHWAY THAT THEY THOUGHT WAS APPROPRIATE -- WOULD  
20 HAVE BEEN MORE APPROPRIATE TO INCLUDE. THE  
21 INVESTIGATORS HAD A DIFFERENT ONE. DID THEY COMMENT  
22 ON WHETHER OR NOT WHAT THE INVESTIGATORS WERE  
23 PROPOSING WOULD NOT LEAD TO ADDRESSING OR ANSWERING  
24 THE QUESTION?

25 DR. OLSON: I THINK WHAT THEY DID SAY WAS



## BARRISTERS' REPORTING SERVICE

1 THEY HAD VERY STRONG PRELIMINARY DATA. PART OF IT  
2 WAS THAT. I DON'T THINK IT WAS A MATTER OF THEY HAD  
3 THEIR PREFERENTIAL SIGNALING PATHWAY. I THINK IT  
4 WAS A MATTER OF ACKNOWLEDGING OTHER WORK IN THE  
5 FIELD, THAT THERE WERE OTHER SIGNALING PATHWAYS --

6 DR. PIZZO: THAT'S WHAT I MEANT BY  
7 PREFERENTIAL.

8 DR. OLSON: -- THAT MAY ALSO --

9 DR. PIZZO: I DIDN'T MEAN PREFERENTIAL  
10 BIAS.

11 DR. OLSON: AND THAT THAT WAS NOT  
12 ADDRESSED BY THE APPLICANT IN THEIR CHOICE OR THEIR  
13 DISCUSSION.

14 DR. TROUNSON: BECAUSE I SAW A COUPLE OF  
15 DIFFERENT PERSPECTIVES, AND I AGREE ABSOLUTELY WITH  
16 WHAT DR. OLSON SAID. BUT ONE OF THE KEY PARTS FOR A  
17 CARDIOMYOCYTE IS THAT IT'S WITHIN 2 OR 3 MICRONS OF  
18 AN ENDOTHELIAL CELL IN THE HEART. SO THAT SHOULD  
19 SUGGEST TO YOU THAT THERE SHOULD BE MESSAGES COMING  
20 FROM ONE CELL TO ANOTHER. AND SO THEIR HYPOTHESIS  
21 IS KIND OF BUILT INTO THE FACT THAT THESE CELLS WILL  
22 INFLUENCE ONE ANOTHER, A LITTLE LIKE THE ASTROCYTES  
23 AND THE NEURONS, AND THAT THE INFLUENCE WAS GOING TO  
24 BE REALLY THROUGH THE IRON CHANNELS, CALCIUM,  
25 SODIUM, AND POTASSIUM, BECAUSE THEY'RE THE ONES THAT

## BARRISTERS' REPORTING SERVICE

1 SET UP THE DIFFERENTIAL BETWEEN CARDIOMYOCYTES.

2 I THINK THE PROBLEM IS THAT THEY WEREN' T  
3 CONVINCED THAT -- THE REVIEWERS WEREN' T REALLY  
4 CONVINCED THAT THEY HAD THE IDEAL SYSTEM FOR TESTING  
5 IT OUT BECAUSE WHAT IF IT WENT ONE WAY AND ANOTHER?  
6 WOULD IT TELL YOU HOW WE' RE GOING TO HAVE THE SORT  
7 OF ENDOTHELIAL CELLS IN THE RIGHT FORMAT TO INSTRUCT  
8 THE CELLS? THAT PART OF IT IN CULTURE IS ALWAYS  
9 HARD TO GET AT AND VERY HARD TO INTERPRET. SO I  
10 THINK THEY WERE LEFT WITH A MIXED FEELING. IT IS  
11 SOMETHING THAT NEEDS TO BE ADDRESSED, BUT DID THEY  
12 REALLY HAVE THE RIGHT MODEL? AND WAS IT -- HOW WERE  
13 THEY GOING TO BE SURE THAT THE RIGHT ENDOTHELIAL  
14 CELL IN THE RIGHT NATURE IS GIVING THE RIGHT SIGNALS  
15 TO THE CARDIOMYOCYTE? AND WERE THEY REALLY GOING TO  
16 WORK THAT OUT IN THE CULTURE DISH?

17 SO I THINK THEY FELT A LITTLE UNEASY ABOUT  
18 THAT, TO BE HONEST. AND THIS IS A SCIENTIST THAT' S  
19 ALREADY BEEN SUPPORTED BY US. HE' S COME TO THE END  
20 OF HIS TIMEFRAME, WILLING TO GO AGAIN. SO IN SOME  
21 RESPECTS A CONTINUATION OF A PRETTY REASONABLE  
22 STUDY, BUT NOT ABSOLUTELY CONVINCING EVERYBODY IN  
23 THE ROOM THAT THEY HAVE EXACTLY THE RIGHT MODEL.  
24 NEVERTHELESS, I THINK THE BASIS OF WHAT THEY WERE  
25 DOING IS IMPORTANT. AND WHETHER THEY CAN GET IT

## BARRISTERS' REPORTING SERVICE

1 RIGHT THROUGH THEIR EXPERIMENTAL WORK MIGHT REALLY  
2 ARI SE FROM DOING THE STUDIES.

3 DR. STEWARD: AND I'M GOING TO ASK THE  
4 SAME QUESTION ABOUT THE NEXT ONE. IN THESE REVIEWS  
5 VERY OFTEN IT'S THE CASE THAT REVIEWERS ARE SORT OF  
6 LACKING SUFFICIENT ENTHUSIASM TO MOVE IT INTO A  
7 FUNDABLE RANGE AND RECOMMEND FUNDING. I THINK I'M  
8 RESPONDING ESPECIALLY TO WHAT JEFF NOTED EARLIER,  
9 THAT THERE SEEMS TO BE SORT OF A LINE DRAWN HERE BY  
10 THE REVIEWERS. IN JUST READING THROUGH THIS, THEY  
11 DIDN'T RECOMMEND IT FOR FUNDING. SO THE QUESTION IS  
12 IS THAT THE CASE? THEY WERE NOT ENTHUSIASTIC ENOUGH  
13 TO RECOMMEND IT FOR FUNDING, OR WERE THEY  
14 RECOMMENDING THAT IT NOT BE FUNDED? THAT'S A BIG  
15 DIFFERENCE TO ME.

16 DR. OLSON: LET'S SEE. HOW DO I ANSWER  
17 THAT? THERE WAS A PROGRAMMATIC DISCUSSION ABOUT  
18 THIS APPLICATION. SO THERE WAS A DISCUSSION, AND I  
19 THINK AS THE ISSUE -- THE ISSUE OF THE  
20 UNCHARACTERIZED EMBROID BODY, CALL IT THE  
21 ENDOTHELIAL CELL COMPONENT YOU LIKE, AND MAYBE THE  
22 OTHER MODEL, THAT MAYBE ENOUGH OF THEM WEREN'T  
23 SUFFICIENTLY CONVINCED TO MOVE IT INTO THE FUNDABLE  
24 CATEGORY. SO AS I SAY, I THINK YOU HAVE TO MAKE  
25 YOUR OWN JUDGMENT ON IT. YOU SAW THE RANGE OF

## BARRISTERS' REPORTING SERVICE

1 SCORES. YOU SAW THE STANDARD DEVIATION. SO I THINK  
2 REVIEWERS FELT THAT THEY HAD SCORED IT  
3 APPROPRIATELY.

4 DR. STEWARD: I DON'T MEAN TO PRESS.

5 DR. OLSON: I UNDERSTAND. THAT'S THE BEST  
6 ANSWER I CAN GIVE YOU.

7 DR. STEWARD: THAT'S GREAT. AND I'M GOING  
8 TO JUST -- I DON'T MEAN TO PUT WORDS IN YOUR MOUTH.  
9 I HEAR YOU SAYING THAT NOBODY WAS VIOLENTLY OPPOSED  
10 TO IT BEING FUNDED.

11 DR. OLSON: THAT IS CORRECT.

12 CHAIRMAN KLEIN: SO LET'S -- I THINK WE  
13 ASKED THE QUESTION. JAMES HARRISON.

14 MR. HARRISON: I JUST WANTED TO BE CLEAR  
15 FOR THE RECORD THAT WE ARE TALKING ABOUT APPLICATION  
16 1507 IN THAT LAST EXCHANGE.

17 DR. OLSON: NO. WE'RE STILL TALKING ABOUT  
18 1512.

19 MR. HARRISON: THANK YOU.

20 CHAIRMAN KLEIN: THANK YOU VERY MUCH. THE  
21 STRENGTHS AND WEAKNESSES I ALSO BELIEVE WAS  
22 ADDRESSED IN 1507.

23 DR. GRIESHAMMER: APPLICATION 1507 IS THE  
24 SECOND ONE IN THE WHITE AREA THERE WITH THE SCORE OF  
25 69. AND SO IN THIS APPLICATION -- THIS APPLICATION

## BARRISTERS' REPORTING SERVICE

1 IS FOCUSED ON A VERY BASIC STEM CELL BIOLOGY  
2 QUESTION ABOUT SELF-RENEWAL AND SURVIVAL AND IS MORE  
3 SPECIFICALLY FOCUSED ON THE ROLE OF ONE PARTICULAR  
4 RECEPTOR THAT HAS BEEN SHOWN IN MANY STUDIES TO BE  
5 INVOLVED IN STEM CELL PROLIFERATION AND SURVIVAL.  
6 NAME OF THAT RECEPTOR IS KIT.

7 AND WHAT THE BASIS FOR THIS RESEARCH  
8 PROPOSAL IS IS THE OBSERVATION THAT ALTHOUGH KIT,  
9 THIS RECEPTOR, IS EXPRESSED IN MANY DIFFERENT STEM  
10 CELL TYPES, ADULT STEM CELLS, EMBRYONIC STEM CELLS,  
11 THERE SEEM TO BE CELL-TYPE SPECIFIC RESPONSE THAT  
12 OCCUR WHEN KIT LIGAND IS ADDED TO THESE CELLS. AND  
13 SO THE HYPOTHESIS THAT IS BEING PURSUED IN THIS  
14 APPLICATION IS THAT, INDEED, ACTIVATION OF THE KIT  
15 RECEPTOR BECOMES CELL-TYPE SPECIFIC THROUGH  
16 INTERACTION WITH CO-RECEPTORS THAT THE APPLICANT IS  
17 TRYING TO PURSUE IN THIS APPLICATION.

18 I'M JUST GOING TO ACTUALLY FOCUS ON AIM 1  
19 AND DESCRIBE TO YOU BRIEFLY THE FACT -- THE APPROACH  
20 THE APPLICANT IS USING. IT'S A BIOINFORMATICS-BASED  
21 APPROACH THAT THIS PERSON WILL BE USING TO PREDICT  
22 CO-RECEPTORS FOR THE KIT LIGAND AND THEN WILL  
23 EXPERIMENTALLY VALIDATE THAT THESE CO-RECEPTORS  
24 INDEED CO-SIGNAL WITH KIT.

25 AND I CAN TELL YOU THAT -- I'LL NOW GO

## BARRISTERS' REPORTING SERVICE

1 INTO THE STRENGTHS AND WEAKNESSES THAT WERE OBSERVED  
2 BY THE REVIEWERS FOR THIS PROPOSAL. THEY DID THINK  
3 THAT THIS APPROACH THAT WAS PROPOSED, THEY FOUND  
4 THIS PARTICULAR APPROACH TO BE INNOVATIVE. AND THEY  
5 ALSO FOUND THAT WITHIN THE PRELIMINARY DATA, THE  
6 APPLICANT GIVES ONE EXAMPLE OF SUCH CO-SIGNALING  
7 BETWEEN KIT AND ANOTHER RECEPTOR AND FOUND THAT THE  
8 CO-SIGNALING WAS WELL SUBSTANTIATED IN THE  
9 PRELIMINARY DATA.

10 IN GENERAL, THEY FOUND THAT STUDYING THIS  
11 RECEPTOR AND ITS CO-RECEPTORS IN STEM CELL BIOLOGY  
12 WAS AN IMPORTANT PROBLEM TO STUDY. HOWEVER, THERE  
13 WERE QUITE A -- AND LISTED IN THE PUBLIC SUMMARY  
14 QUITE A LIST OF CONCERNS ABOUT FEASIBILITY AND  
15 EXPERIMENTAL DESIGN, AND I JUST WILL HIGHLIGHT A FEW  
16 HERE BRIEFLY. THE REVIEWERS FELT THAT THIS WAS AN  
17 OVERLY AMBITIOUS PROJECT BECAUSE THE APPLICANT  
18 PROPOSES TO PURSUE THESE CELL-TYPE SPECIFIC  
19 INTERACTIONS IN FIVE DIFFERENT STEM CELL  
20 POPULATIONS. AND DOING SO, THEY FELT NONE OF THE  
21 DATA WOULD LIKELY LEAD TO ENOUGH DEPTH TO GIVE  
22 REALLY MEANINGFUL RESULTS.

23 THEY ALSO WERE CONCERNED ABOUT THE  
24 RATIONALE FOR ONE OF THE AIMS. SO ONE ENTIRE AIM IS  
25 ABOUT UNDERSTANDING THE MICRO-RNA MEDIATORS OF THIS

## BARRISTERS' REPORTING SERVICE

1 KIT SIGNALING. AND THE REVIEWERS WERE NOT CONVINCED  
2 THAT THERE WAS A GOOD RATIONALE TO PURSUE  
3 SPECIFICALLY MICRO-RNA'S IN THIS CONTEXT. AND I  
4 ALSO WANT TO POINT OUT THAT ALTHOUGH THE REVIEWERS  
5 DID LIKE THE GENERAL BIOINFORMATICS-BASED APPROACH,  
6 THEY WERE ACTUALLY NOT CONVINCED BY THE PRELIMINARY  
7 DATA THAT IN THE ONE EXAMPLE THAT WAS PROVIDED THAT  
8 THIS APPROACH WAS USED TO IDENTIFY A CO-RECEPTOR,  
9 THEY WERE NOT CONVINCED THAT THE BIOINFORMATICS  
10 APPROACH ACTUALLY LED TO THAT DISCOVERY. SO THEY  
11 HAD SOME CONCERNS, ALTHOUGH THE APPROACH IS  
12 INNOVATIVE, HAD SOME CONCERNS WHETHER IT'S ACTUALLY  
13 FEASIBLE BASED ON THE PRELIMINARY DATA.

14 AND LIKE I SAID, THERE WERE SEVERAL OTHER  
15 MORE DETAILED EXPERIMENTAL CRITICISMS THAT WERE  
16 BROUGHT FORWARD. I WANT TO END, THOUGH, BY SAYING  
17 THAT THE APPLICANT AND THE TEAM WERE FELT TO BE  
18 HIGHLY QUALIFIED TO PURSUE THESE STUDIES.

19 CHAIRMAN KLEIN: DR. AZZIZ.

20 DR. AZZIZ: I ACTUALLY HAVE A QUESTION  
21 JUST TO CLARIFY. IF YOU DON'T MIND BRIEFLY TELLING  
22 US THE BIOINFORMATIC APPROACH THAT WAS INITIALLY  
23 USED, WAS THIS SORT OF A DATABASE DRIVEN, ALREADY  
24 AVAILABLE, SORT OF A NODE KIND OF APPROACH TO  
25 DETERMINE TARGETS? TELL US JUST A LITTLE BIT ABOUT

## BARRISTERS' REPORTING SERVICE

1 THAT SINCE THAT'S THE INNOVATIVE PART OF THIS  
2 APPLICATION.

3 DR. GRIESHAMMER: IT DEFINITELY IS. AND I  
4 AM WONDERING, THOUGH, IF THAT IS A BETTER QUESTION  
5 FOR THE CLOSED SESSION. WE DID NOT SPEAK ABOUT THAT  
6 IN THE PUBLIC SUMMARY.

7 CHAIRMAN KLEIN: I THINK WHAT SHE'S SAYING  
8 IS THE INNOVATION IN THIS MAY BE PROPRIETARY.

9 DR. GRIESHAMMER: YES, EXACTLY.

10 DR. AZZIZ: THAT SOUNDS FINE. IF THAT'S  
11 THE CASE.

12 DR. GRIESHAMMER: I WOULD FEEL MORE  
13 COMFORTABLE.

14 CHAIRMAN KLEIN: AND HOW DIFFICULT IS IT  
15 TO STATE A CONSOLIDATED OPINION BECAUSE YOU HAVE A  
16 RANGE 40 TO 80 AND A STANDARD DEVIATION OF 9. SO IT  
17 LOOKS LIKE THERE'S A PRETTY BIG SPLIT IN THIS GROUP.

18 DR. GRIESHAMMER: YEAH. YOU HEARD A LOT  
19 OF POSITIVE COMMENTS I MADE.

20 DR. STEWARD: JUST TO ASK THE QUESTION I  
21 PROMISED. SO WAS IT A MATTER OF LACK OF ENTHUSIASM,  
22 OR WERE THERE REVIEWERS WHO FELT STRONGLY THAT IT  
23 SHOULD NOT BE FUNDED?

24 DR. GRIESHAMMER: WHAT I ACTUALLY CAN TELL  
25 YOU IS THAT THIS APPLICATION WAS NOT BROUGHT UP AT



## BARRISTERS' REPORTING SERVICE

1 ALL IN PROGRAMMATIC DISCUSSION, AND THAT MIGHT MEAN  
2 SOMETHING.

3 DR. TROUNSON: THERE WAS SOME STRONG  
4 SENTIMENT FOR AND AGAINST, OS, VERY STRONG. SO  
5 THAT'S WHY YOU GOT THE VARIANCE THAT WAS THERE. BUT  
6 THERE WAS -- 40 IS ABSOLUTELY DON'T GO NEAR IT  
7 MESSAGE. 80 SAYS LET'S TAKE IT ON. IT'S A GREAT  
8 PROJECT. THAT WAS EXPRESSED DURING THE DEBATES.  
9 AND THE SCIENTISTS BASICALLY HELD THEIR GROUND, I  
10 THINK, WHEN THEY CAME TO SCORE.

11 CHAIRMAN KLEIN: LEFT YOU THE DECISION.  
12 ALL RIGHT. VERY GOOD DISCUSSION. THANK YOU. VERY  
13 NICE PRESENTATION.

14 DO WE HAVE PUBLIC COMMENT ON THIS  
15 APPLICATION OR ON 1512, EITHER ONE OF THOSE? I  
16 DON'T SEE ANY PUBLIC COMMENT. IS THERE ANY MORE  
17 BOARD COMMENT? NOT SEEING ANY, I WOULD SUGGEST THAT  
18 THE PROTOCOL IS WE WOULD ADJOURN FOR DINNER AND THEN  
19 HAVE EXECUTIVE SESSION. WE'D LIKE TO MAKE SURE THE  
20 BLOOD SUGARS ARE WELL BALANCED BEFORE WE HAVE THIS  
21 INTELLECTUAL CHALLENGE. COULD WE BE INSTRUCTED ON  
22 WHERE WE'RE GOING?

23 MS. PRYNE: BOARD AND STAFF SHOULD ADJOURN  
24 TO THE ELAN ROOM, WHICH IS IF YOU GO THROUGH THE  
25 LOBBY, AROUND THE CORNER, PAST THE ELEVATORS.

## BARRISTERS' REPORTING SERVICE

1 FOLLOW ME.

2 CHAIRMAN KLEIN: I'D LIKE TO THANK THE  
3 STAFF FOR LEADING US INTO AN EXCELLENT SCIENTIFIC  
4 DISCUSSION. WE ARE NOT COMING BACK TONIGHT. WE ARE  
5 GOING TO COME BACK TOMORROW MORNING AND RECONVENE --

6 MS. KING: TAKE YOUR BINDERS.

7 CHAIRMAN KLEIN: -- IN THE CITY OF HOPE,  
8 SO TAKE ALL OF YOUR MATERIALS WITH YOU.

9 MS. KING: YOU CAN LEAVE YOUR CONFLICT  
10 SHEETS RIGHT AT YOUR SEAT AND WE WILL PICK THEM UP,  
11 OR YOU CAN HAND THEM TO ME IF YOU'RE ON YOUR WAY TO  
12 ME.

13 (THE MEETING WAS THEN ADJOURNED TO  
14 CLOSED SESSION AT 07:02 P.M TO RECONVENE APRIL 29,  
15 2010, AT THE CITY OF HOPE.)

16

17

18

19

20

21

22

23

24

25

## BARRISTERS' REPORTING SERVICE

### REPORTER' S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

DOUBLETREE HOTEL MONROVIA-PASADENA  
924 WEST HUNTINGTON DRIVE  
MONROVIA, CALIFORNIA  
ON  
WEDNESDAY, APRIL 28, 2010

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152  
BARRISTER' S REPORTING SERVICE  
1072 BRISTOL STREET  
SUITE 100  
COSTA MESA, CALIFORNIA  
(714) 444-4100