

BEFORE THE  
SCIENTIFIC AND MEDICAL ACCOUNTABILITY  
STANDARDS WORKING GROUP  
TO THE  
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE  
ORGANIZED PURSUANT TO THE  
CALIFORNIA STEM CELL RESEARCH AND CURES ACT  
REGULAR MEETING

LOCATION: LUXE HOTEL  
11461 W. SUNSET BOULEVARD  
LOS ANGELES, CALIFORNIA

DATE: FEBRUARY 17 AND 18, 2009

REPORTER: BETH C. DRAIN, CSR  
CSR. NO. 7152

BRS FILE NO.: 83498 AND 83499

## BARRISTERS' REPORTING SERVICE

### I N D E X

ITEM	DESCRIPTION	PAGE NO.
CALL TO ORDER		3
ROLL CALL		6
STAFF REPORT		
STAFF REPORT:		
	REGULATORY UPDATE - LOMAX	4
	UPDATE ON CIRM OUTREACH - GIBBONS	11
	UPDATE ON SCIENTIFIC PROGRAM AND RESEARCH PRIORITIES FOR CIRM - TROUNSON	15
SWG CLINICAL TRIALS WORKSHOP:		
	MARIE CSETE	26
	ALTA CHARO	34
	E. J. READ	58
	BRUCE DOBKIN	100
	MARK KALICHMAN	215
	STEVE PECKMAN	261, 350
	INSU HYUN	306
REGULATORY AND POLICY ISSUES:		
	DISCUSSION ON REPORTING REQUIREMENTS FOR OOCYTE DONATION - SWEEDLER	182
WRAP-UP		366
ADJOURNMENT		387

**BARRISTERS' REPORTING SERVICE**

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

LOS ANGELES, CALIFORNIA;  
WEDNESDAY, FEBRUARY 18, 2009  
9 A.M.

CHAIRMAN LO: GOOD MORNING. I'D LIKE TO CALL US TO ORDER. FIRST I WOULD HAVE SAID I THOUGHT YESTERDAY'S DISCUSSION WAS REALLY INTERESTING, AND THEY'RE TOUGH ISSUES, CONTROVERSIAL ISSUES, AND I THINK WE MADE A GOOD START. WE HAD SOME MORE DISCUSSION LAST NIGHT.

IN LOOKING AT THE SCHEDULE TODAY, I'M WONDERING IF WE REALLY CAN FINISH BEFORE 3 O'CLOCK. LET ME MAKE A SUGGESTION, THAT IF WE WORK THROUGH -- WE TAKE A BREAK AT ABOUT ELEVEN TO CHECK OUT AND EVERYTHING AND WORK THROUGH TILL ONE AND THEN HAVE LUNCH AT ONE. THOSE OF YOU WHO WANT TO TRY AND EXIT AT ONE, I THINK WE SHOULD BE DONE BY THEN. WE DON'T HAVE ANY ITEMS OF BUSINESS LEFT OVER FROM YESTERDAY. SO I THINK WE CAN HAVE OUR THREE SPEAKERS, A RICH DISCUSSION WITHIN THREE AND A HALF HOURS SO WE CAN BE DONE BY ONE AND HAVE LUNCH AFTERWARDS FOR THOSE WHO WANT TO STAY OR CAN STAY. DOES THAT SOUND REASONABLE? DOES ANYBODY OBJECT TO FINISHING EARLY?

DR. LOMAX: ONE OTHER ITEM, THE LUNCHES

## BARRISTERS' REPORTING SERVICE

1 CAN BE AVAILABLE TO PACK A SANDWICH OR SOMETHING TO  
2 GO IF YOU LIKE AS WELL FOR TRAVELING. SO IF THAT IS  
3 OF INTEREST, LET PAT BECKER KNOW, AND SHE CAN  
4 ARRANGE -- THEY CAN BOX SOMETHING UP FOR YOU. IT  
5 WILL BE KIND OF A SANDWICH PLATTER.

6 CHAIRMAN LO: THEY'VE DONE THAT BEFORE,  
7 AND IT'S ACTUALLY QUITE GOOD FOR AIRPORT FOOD.

8 OKAY. I WANT TO JUST START BY TRYING TO  
9 SORT OF SUM UP SOME OF WHAT WE DID YESTERDAY AND TO  
10 HAVE SOME -- MAKE A FEW TENTATIVE SUGGESTIONS. AS  
11 YOU KNOW, ONE OF THE THINGS WE WANT TO DO IS TO TRY  
12 AND THINK THROUGH WHAT, IF ANYTHING, SHOULD CIRM BE  
13 DOING IN ADDITION TO WHAT IRB'S NOW DO IN ADDITION  
14 TO WHAT THE FDA DOES.

15 SO FIRST I JUST WANTED TO SAY THAT WE  
16 REALLY SORT OF TALKED ABOUT SORT OF THE BASIC  
17 ETHICAL ISSUES WITHOUT MAYBE MAKING IT REALLY  
18 EXPLICIT. AND I THINK WE ALL UNDERSTOOD YESTERDAY  
19 THAT WHAT MAKES EARLY PHASE CLINICAL TRIALS SO  
20 DIFFICULT AND ETHICALLY COMPLICATED IS THAT  
21 PARTICIPANTS UNDERGO THE RISK OF AN INNOVATIVE  
22 INTERVENTION WHERE GOING INTO A PHASE I STUDY, YOU  
23 DON'T KNOW WHAT THE SAFETY AND EFFICACY OF THE  
24 INTERVENTIONS ARE. IT COULD BE WORSE THAN DOING  
25 NOTHING OR A PLACEBO. IF SOMEONE COULD JUST PUSH

## BARRISTERS' REPORTING SERVICE

1 THE SLIDE TO THE NEXT SLIDE.

2 AND, YOU KNOW, IF YOU THINK ABOUT CLINICAL  
3 TRIAL ETHICS, THE FUNDAMENTAL CONSIDERATIONS ARE,  
4 FIRST, THE RISK-BENEFIT RATIO HAS TO BE ACCEPTABLE.  
5 NOW, WHAT MAKES IT HARD IN PHASE I AND PARTICULARLY  
6 IN STEM CELLS IS THE RISKS ARE UNCERTAIN. WE'RE  
7 DOING SOMETHING FOR THE FIRST TIME. WE CAN'T REALLY  
8 SAY WHAT THE RISKS ARE. AND SECONDLY, THERE HAS TO  
9 BE INFORMED CONSENT. AND WE TALKED A LITTLE BIT  
10 YESTERDAY ABOUT HOW INFORMED CONSENT IN GENERAL IS  
11 HARD BECAUSE PEOPLE, PARTICIPANTS, BELIEVE THAT THE  
12 DOCTOR IN HER HEART OF HEARTS WOULDN'T BE OFFERING  
13 INTERVENTION IF SHE DIDN'T KNOW IT REALLY WORKED.  
14 NO MATTER WHAT THE INFORMED CONSENT FORM SAYS ABOUT  
15 WE DON'T KNOW, PARTICIPANTS, IF YOU ASK THEM  
16 AFTERWARDS, SAY BUT THEY REALLY, REALLY THINK IT'S  
17 GOING TO WORK. AND SO, THEREFORE, IT'S GOING TO  
18 HELP ME.

19 AND IT'S PARTICULARLY, I THINK, THE CASE  
20 BECAUSE THE HOPES ARE SO HIGH WITH STEM CELL  
21 RESEARCH. AND THESE ARE SERIOUS DISEASES FOR WHICH  
22 THERE ARE REALLY NO GOOD THERAPIES.

23 WE TALKED A LOT ABOUT SHAM SURGERY, AND  
24 IT'S CONTROVERSIAL AND IT'S COMPLICATED. AND THERE  
25 ARE REASONS THAT YOU MIGHT WANT TO DO IT, AND THERE

## BARRISTERS' REPORTING SERVICE

1 ARE REAL REASONS TO SAY, GOSH, THAT'S AWFUL. THERE  
2 IS A VERY INTERESTING E-MAIL EXCHANGE THAT ALTA  
3 STARTED AND JOSE DID AND I SORT OF SENT SOME THINGS  
4 AROUND SO WE ACTUALLY PULLED SOME PDF'S FOR YOU.  
5 THERE ARE A NUMBER OF ARTICLES ON SHAM SURGERY IN  
6 PARKINSON'S DISEASE, WHICH I THINK IS SOMETHING THAT  
7 WE MIGHT ACTUALLY BE DEALING WITH AT CIRM.

8 ONE EDITORIAL WHICH I SENT YOU FRAMED IT  
9 AS A CHOICE OF TWO EVILS. SO IT'S A DILEMMA  
10 PRECISELY BECAUSE THERE ARE REASONS TO DO BOTH ONE  
11 THING AND ITS OPPOSITE. AND THE ISSUES THAT WERE  
12 LAID OUT WERE IF YOU DON'T -- IN SOME SITUATIONS IF  
13 YOU DON'T DO SHAM SURGERY, YOU MAY NOT BE ABLE TO  
14 RIGOROUSLY EVALUATE THE SAFETY AND EFFICACY OF AN  
15 INTERVENTION. IN SITUATIONS WHERE THE SURGERY  
16 ITSELF CAN INDUCE EITHER, AS BRUCE DOBKIN SUGGESTED,  
17 SHAM SURGERY MAY ACTUALLY BE AN ACTIVE INTERVENTION  
18 BY DISRUPTING NEUROCIRCUITS, OR IT MAY INSTILL  
19 BELIEF THAT YOU HAD SOMETHING ACTIVE DONE THAT WILL  
20 CHANGE YOUR BEHAVIORS OR YOUR ABILITY TO PERFORM THE  
21 OUTCOME MEASURES.

22 ON THE OTHER HAND, YOU CLEARLY ARE  
23 UNDERGOING A RISK BY HAVING SURGERY DONE, THE  
24 ANESTHETIC RISK, THE SURGICAL RISK, AND SO FORTH.  
25 AND AS SHERRY POINTED OUT, THERE'S AN INTUITIVE

## BARRISTERS' REPORTING SERVICE

1 LEVEL WHERE IT SEEMS WRONG TO SAY TO SOMEONE WE'RE  
2 GOING TO HAVE YOU UNDERGO SOMETHING THAT WE KNOW HAS  
3 RISK AND WE DON'T EXPECT IT TO HELP YOU OTHER THAN  
4 YOUR BELIEVING IT'S GOING TO HELP YOU.

5 NOW, THERE ARE TWO OTHER EMPIRICAL  
6 ARTICLES I THOUGHT WERE INTERESTING, BOTH ON  
7 PARKINSON'S DISEASE SURGICAL INTERVENTIONS THAT WERE  
8 PLACEBO SURGERY. ONE WAS A SUMMARY OF PUBLISHED  
9 LITERATURE SHOWING THAT IN PARKINSON'S DISEASE,  
10 PEOPLE WHO GOT THE SHAM SURGERY ACTUALLY DID BETTER  
11 THAN PEOPLE WHO GOT THE ACTIVE SURGERY. OKAY. AND  
12 THE SECOND STUDY WAS A WILLINGNESS TO ENROLL A  
13 SURVEY OF PEOPLE WITH PARKINSON'S DISEASE AND OTHER  
14 DISEASES. AND WHEN IT WAS EXPLAINED TO THEM, THE  
15 MAJORITY OF THE PARTICIPANTS SAID THEY WOULD BE  
16 WILLING TO ENTER A SHAM SURGERY TRIAL.

17 THERE IS ANOTHER STUDY THAT I SENT YOU  
18 WHICH WAS SORT OF AN OVERVIEW BY FRANK MILLER AT  
19 NIH, AND HE SUGGESTED THAT MAYBE THE QUESTION IS NOT  
20 DO WE EVER ALLOW SHAM SURGERY OR DO WE ALWAYS NEED  
21 SHAM SURGERY. HE SAID IF YOU LOOK AT THE DISEASE  
22 AND THE INTERVENTION, THERE ARE A SERIES OF  
23 CONSIDERATIONS YOU NEED TO THINK ABOUT. CAN YOU  
24 WITHOUT THE SURGICAL INTERVENTION INDUCE THE BELIEF  
25 THAT YOU HAD SOMETHING VALUABLE DONE? AND I WOULD

## BARRISTERS' REPORTING SERVICE

1 ARGUE THE BELIEF THAT YOU'RE GOING TO RETRAIN  
2 YOURSELF, EXERCISE, GO TO REHAB HAS BEEN IN A WAY  
3 THAT CAN BE BENEFICIAL. HE SAID YOU HAVE TO LOOK AT  
4 THE RISKS OF THE SHAM SURGERY. IS IT ACCEPTABLE,  
5 THEY ARE MINIMIZED, AND SO FORTH. SO IT WAS A MORE  
6 NUANCED DISCUSSION THAN IT'S ALWAYS GOOD, IT'S  
7 ALWAYS BAD.

8 I ALSO WANT TO SUGGEST THREE THINGS WE  
9 MIGHT WANT TO THINK ABOUT AS POSSIBLE, AND UNDERLINE  
10 POSSIBLE, ITEMS WE MIGHT WANT TO SUGGEST AS THINGS  
11 FOR THE ICOC TO DO. ONE, WHICH WE ALREADY TALKED  
12 ABOUT YESTERDAY, I THINK, JOSE, THIS IS IN RESPONSE  
13 TO A QUESTION YOU ASKED, TO HAVE CIRM BE ONE OF THE  
14 CONVENERS OF A STATE-OF-THE-ART MEETING TO DEVELOP A  
15 CONSENSUS ON WHAT SHOULD BE REQUIRED FOR PRECLINICAL  
16 TESTING. IT REALLY ADDRESSES JOSE'S CONCERN THAT AS  
17 AN INVESTIGATOR, IF YOU GO TO THE FDA AND SAY WHAT  
18 DO I NEED TO DO TO GET THROUGH YOUR PRECLINICAL  
19 REVIEW, THEY WON'T GIVE YOU A FLAT-OUT ANSWER UNTIL  
20 YOU SORT OF HAND THEM A PROTOCOL AND SAY. THEY'LL  
21 SAY, WELL, WE WANT A FEW MORE SPECIES OR A FEW MORE  
22 SUBJECTS. IT WON'T SAY SO-AND-SO TRIED THIS AND  
23 WE'RE GOING TO HAVE TWO SPECIES NOW RATHER THAN ONE.

24 SO THIS WAS A SUGGESTION THAT MIGHT HELP  
25 THE FDA BE MORE PROACTIVE IN LETTING INVESTIGATORS



## BARRISTERS' REPORTING SERVICE

1 KNOW WHAT THEY NEED TO DO. AND IT COULD MAKE  
2 RESEARCH MORE EFFICIENT IF THE INVESTIGATORS DIDN' T  
3 HAVE TO GUESS AND FALSE START. SO THAT' S A  
4 SUGGESTION. AND THEN THAT COULD BE DONE IN  
5 CONJUNCTION WITH OTHER UNIVERSITIES DOING STEM CELL  
6 RESEARCH LIKE WISCONSIN OR PERHAPS IN CONJUNCTION  
7 WITH ISSCR.

8 SECOND SUGGESTION IS SHOULD WE, AS A  
9 CONDITION OF CIRM FUNDING, HAVE SOME REQUIREMENT FOR  
10 TIMELY DISSEMINATION OF FINDINGS, INCLUDING NEGATIVE  
11 FINDINGS FROM AN EARLY CLINICAL TRIAL? AND AGAIN,  
12 THIS IS A LITTLE COMPLICATED BECAUSE UNLESS OTHER  
13 SCIENTISTS KNOW NEGATIVE RESULTS FROM A PREVIOUS  
14 TRIAL, IT' S GOING TO BE HARD FOR THEM TO GAUGE THE  
15 SAFETY OF AN INTERVENTION THEY' RE PLANNING OR TO  
16 IMPROVE ON AN INTERVENTION THAT WAS TRIED BEFORE AND  
17 DIDN' T WORK.

18 ON THE OTHER HAND, CLEARLY THERE ARE SOME  
19 COMPANIES THAT IF THEY HAVE A NEGATIVE STUDY, WILL  
20 NOT WANT IT SHARED BECAUSE OF THE ADVERSE IMPACT ON  
21 THEIR VIABILITY IN SOME CASES.

22 AND THE THIRD SUGGESTION I WANT US JUST TO  
23 PERHAPS CONSIDER, THINK ABOUT IS THE CONSENT ISSUE.  
24 SO THAT WE MIGHT SAY IF YOU REALLY, REALLY WANTED TO  
25 MAKE SURE THAT PARTICIPANTS IN AN EARLY PHASE STEM

## BARRISTERS' REPORTING SERVICE

1 CELL CLINICAL TRIAL KNEW WHAT THEY WERE GETTING  
2 INTO, SHOULD WE HAVE SOME SORT OF ASSESSMENT OF THE  
3 PARTICIPANT'S APPRECIATION OF KEY ASPECTS OF THE  
4 TRIAL? AND THOSE MIGHT BE WE DON'T KNOW WHETHER  
5 THIS IS GOING TO WORK. IT MIGHT MAKE YOU WORSE,  
6 ETC., REALLY SORT OF KEY ISSUES THAT WE STRUGGLED  
7 WITH YESTERDAY.

8 THIS WOULD SHIFT OUR NOTION OF CONSENT FROM  
9 DISCLOSURE IN THE CONSENT FORM TO UNDERSTANDING BY  
10 THE PARTICIPANT, AND IT'S CONSISTENT WITH WHAT WE  
11 SAID WITH OOCYTE DONATION. WHEREAS, YOU REMEMBER WE  
12 SAID WOMEN DONATING REALLY, WE NEED TO REALLY  
13 UNDERSTAND -- BE SURE THEY UNDERSTAND THE RISKS AND  
14 THE PROCEDURES. SO THAT'S BACKGROUND FOR US.

15 NOW WE HAVE A REALLY GREAT SET OF SPEAKERS  
16 WHO HAVE GRACIOUSLY COME HERE TODAY TO SHARE THEIR  
17 EXPERTISE AND DISCUSS WITH US. MICHAEL KALICHMAN IS  
18 GOING TO GO FIRST. HE'S PROFESSOR OF MEDICINE AT  
19 UCSD. HE'S ACTUALLY BEEN HERE BEFORE BECAUSE HE  
20 DIRECTS THE SCRO AT UCSD AND HAS BEEN WRITING ABOUT  
21 ETHICS OF RESEARCH IN GENERAL AND STEM CELL RESEARCH  
22 ETHICS IN PARTICULAR. SO MICHAEL WILL START, AND  
23 THEN WE'LL HAVE TWO OTHER SPEAKERS I'LL INTRODUCE  
24 LATER. MIKE, THANKS FOR COMING.

25 DR. KALICHMAN: THANKS VERY MUCH. AND I

## BARRISTERS' REPORTING SERVICE

1 WASN' T HERE YESTERDAY, BUT FROM WHAT I ' VE HEARD AND  
2 ADDING TO THAT, BERNIE ' S REMARKS NOW, MUCH OF WHAT  
3 I ' M GOING TO COVER HAS ACTUALLY, I THINK, BEEN  
4 COVERED. BUT I WILL -- I ' VE TAKEN OUT SOME OF THE  
5 SLIDES THAT YOU HAVE IN YOUR BROCHURES, SO THESE  
6 AREN' T ALL IN THERE ANYMORE BECAUSE THEY WEREN' T ALL  
7 NECESSARY. AND WHAT I ' M GOING TO BE SAYING IS BASED  
8 IN PART ON A PAPER THAT PHIL SCHWARTZ ROPED ME INTO  
9 HELPING WITH THAT WILL BE IN *AMERICAN JOURNAL OF*  
10 *BIOETHICS*, AN OPEN PEER COMMENTARY.

11 IN THIS CASE WE WERE LOOKING AT CELL-BASED  
12 INTERVENTIONS, NOT SPECIFICALLY STEM CELL RESEARCH,  
13 CELL-BASED INTERVENTIONS FOR THE CENTRAL NERVOUS  
14 SYSTEM. AND WE CAME UP WITH SOME SUGGESTIONS AND  
15 THOUGHTS ABOUT THE SPECIAL ISSUES THAT THIS RESEARCH  
16 RAISED. AND I ' VE TRANSLATED THAT A BIT INTO THE  
17 ISSUES THAT WE ' RE THINKING ABOUT IN CALIFORNIA FOR  
18 STEM CELL RESEARCH.

19 JUST AS BACKGROUND, BEFORE I GO INTO SOME  
20 SUGGESTIONS, SOMETHING THAT I THINK IS CLEAR FROM  
21 DISCUSSION EVERYBODY HAS ALREADY HAD AND THINKING  
22 ABOUT, WHAT WE ' RE TALKING ABOUT HERE IS SOMETHING  
23 WHEN YOU ' RE IN A CLINICAL TRIAL, UNLIKE MANY OTHER  
24 KINDS OF CLINICAL TRIALS, WHEN YOU PUT CELLS INTO  
25 SOMEBODY ' S BRAIN OR BODY, YOU HAVE SOMETHING THAT ' S

## BARRISTERS' REPORTING SERVICE

1 NO LONGER REVERSIBLE. IT'S IRREVERSIBLE. YOU CAN'T  
2 HAVE SOMEBODY DECIDE HALFWAY THROUGH THAT TRIAL I'VE  
3 DECIDED I DON'T WANT TO BE IN THE TRIAL BECAUSE YOU  
4 CAN'T GO IN AND TAKE THOSE CELLS OUT, AT LEAST NOT  
5 READILY.

6           HOWEVER, THE OBLIGATIONS WE HAVE FOR THIS  
7 KIND OF RESEARCH ARE SIMILAR TO THE OBLIGATIONS FOR  
8 ANY KIND OF CLINICAL TRIALS. WE SHOULD BE SURE  
9 BEFORE WE PROCEED TO THE CLINICAL TRIAL THAT, SINCE  
10 WE AREN'T CERTAIN THAT THIS IS GOING TO BE EFFECTIVE  
11 OR SAFE, WE WANT TO HAVE STANDARDS THAT ARE HIGH  
12 ENOUGH SO THAT WHEN WE DO GO TO THAT TRIAL, WE HAVE  
13 AT LEAST A REASONABLE CHANCE OF NOT DOING ANTICIPABLE  
14 HARM, HARM THAT WE COULD HAVE ANTICIPATED. AND  
15 SECOND, YOU WANT TO SUFFICIENTLY INFORM YOUR  
16 SUBJECTS, AS BERNIE WAS JUST TALKING ABOUT.

17           SO THERE ARE RISKS OF GOING INTO ANY KIND  
18 OF CLINICAL RESEARCH, AND THE RISKS ARE GREATER WHEN  
19 YOU'RE GOING INTO A NEW AREA AS WE ARE STEM CELL  
20 RESEARCH. BUT THE ANSWER TO THE QUESTION OF SHOULD  
21 WE STOP THIS RESEARCH IS ABSOLUTELY NO. BUT THE  
22 STANDARDS OF MEDICAL RESEARCH DO CALL FOR US TO BE  
23 SURE THAT WE DON'T INTENTIONALLY DO HARM AND,  
24 SECONDLY, THAT WE SHOULD AVOID THE CHANCE OF DOING  
25 GOOD SOLELY BECAUSE OF THE POSSIBILITY OF HARM.

## BARRISTERS' REPORTING SERVICE

1 SO SHOULD WE TEST FOR EVERYTHING? AND  
2 THIS IS IN PART WHY PHIL SCHWARTZ AND I WROTE OUR  
3 ARTICLES. WE WERE RESPONDING TO THE TARGET ARTICLE  
4 FOR *AMERICAN JOURNAL OF BIOETHICS*. IT WAS ARGUING  
5 FOR A PRETTY RIGOROUS BATTERY OF TESTS TO BE DONE ON  
6 ALL PEOPLE WHO RECEIVE CELL-BASED INTERVENTION FOR  
7 THE NERVOUS SYSTEM. AND IT OCCURRED TO US THAT  
8 THOSE TESTS, ALTHOUGH THEY MIGHT GIVE US A SENSE OF  
9 FEELING BETTER, THAT WE'RE DOING THE RIGHT THING  
10 BECAUSE WE'RE TESTING FOR ANY POSSIBLE PROBLEMS.  
11 SUCH TESTS ARE VERY COSTLY IN TERMS OF THE NUMBER OF  
12 SUBJECTS YOU HAVE TO HAVE, THE MONEY AND EFFORT  
13 INVOLVED, AND THE TIME INVOLVED. AND YOU WANT TO BE  
14 SURE BEFORE YOU GO INTO SUCH TESTS THAT THEY  
15 ACTUALLY WILL SOLVE THE PROBLEM YOU'RE TRYING TO  
16 SOLVE.

17 SO BEFORE BEGINNING SUCH TESTING, WE'RE  
18 ARGUING THAT ONLY WHEN DATA FROM PRECLINICAL STUDIES  
19 OR OTHER CLINICAL TRIALS PROVIDES REASON TO PREDICT  
20 THAT A PARTICULAR KIND OF A PROBLEM IS LIKELY SHOULD  
21 YOU THEN GO TO THAT EXTRAORDINARY LEVEL OF DOING  
22 THAT EXTRA TESTING ON TOP OF WHAT YOU ALREADY WERE  
23 PLANNING.

24 SO THE BULK OF MY PRESENTATION IS GOING TO  
25 BE PROPOSING SEVERAL THINGS. THESE ARE NOT FINAL

## BARRISTERS' REPORTING SERVICE

1 IDEAS, BUT SOME IDEAS TO PUT ON THE TABLE OF THINGS  
2 THAT WE MIGHT WANT TO CONSIDER FOR STEM CELL-BASED  
3 RESEARCH.

4 FIRST ONE IS THAT WHEN WE TALK ABOUT  
5 ANIMAL STUDIES, WHICH ARE STANDARD FOR ANY KIND OF  
6 NEW CLINICAL TRIALS TO BE IN PLACE IN THE FIRST  
7 PLACE BECAUSE THESE INTERVENTIONS THAT WE'RE DEALING  
8 WITH CLINICALLY ARE LIKELY TO BE IRREVERSIBLE, THE  
9 STANDARD FOR OUR PRECLINICAL DATA, THAT MEANS THE  
10 DATA THAT WE OBTAIN FROM ANIMAL STUDIES, SHOULD BE  
11 HIGHER THAN FOR OTHER INTERVENTIONS. WE'RE NOT  
12 QUANTIFYING THIS BY SAYING HOW MUCH HIGHER, BUT WE  
13 ARE SAYING THAT WE SHOULD LOOK FOR SOMETHING MORE  
14 THAN WE NORMALLY WOULD IN A TRIAL, THAT SOMEBODY  
15 COULD SAY, WAIT, THIS ISN'T WORKING OUT WELL. I  
16 DON'T WANT TO CONTINUE.

17 SECOND, WE SHOULD HAVE A BETTER SENSE OF  
18 THE MECHANISMS BEFORE WE GO INTO THE TRIAL. IN MANY  
19 CASES IT'S ENOUGH TO KNOW THAT SOMETHING WORKS. YOU  
20 HAVE SOME SENSE IN ANIMAL STUDIES BASED ON OTHER  
21 EXPERIENCE THIS MIGHT WORK EVEN IF YOU DON'T REALLY  
22 UNDERSTAND COMPLETELY WHY IT WORKS. WE'RE NOT  
23 ARGUING THAT WE HAVE TO UNDERSTAND EVERYTHING ABOUT  
24 MECHANISMS, BUT HAVING SOME MECHANISTIC SENSE THAT  
25 THERE IS AN EXPLANATION FOR THE DISEASE THAT WE

## BARRISTERS' REPORTING SERVICE

1 UNDERSTAND, AN EXPLANATION FOR HOW THE TREATMENT  
2 MIGHT WORK, AND BE BETTER THAN NOT DOING SOMETHING  
3 IS THE SECOND STANDARD WE'RE ARGUING WE SHOULD  
4 INVOKE.

5 THIRD, ESPECIALLY AT THE EARLIEST STAGES  
6 OF TREATMENT, WE SHOULD LOOK AT THOSE DISEASES AND  
7 CONDITIONS THAT ARE UNTREATABLE AND SEVERELY  
8 DEBILITATING RATHER THAN THINGS THAT MAY BE HANDLED  
9 IN OTHER WAYS OR MAY NOT BE AS SEVERE. THIS BECOMES  
10 A REALLY KEY POINT. BERNIE WAS JUST TALKING ABOUT  
11 SOMETHING THAT MANY OF US HAVE TALKED ABOUT OFTEN,  
12 AND THAT'S THAT WHEN YOU GO TO A CLINICAL TRIAL,  
13 YOU'RE NOT DOING IT BECAUSE YOU KNOW SOMETHING IS  
14 SAFE AND EFFECTIVE. YOU'RE DOING IT BECAUSE YOU  
15 DON'T KNOW. AND EVEN THOUGH SOMEBODY IS SUFFERING  
16 FROM A DISEASE, SOMETIMES TERRIBLY SUFFERING FROM  
17 THAT DISEASE, THE PRESUMPTION THAT ANYTHING IS  
18 BETTER THAN NOTHING IS NOT ALWAYS TRUE.

19 THERE ARE CASES EVEN I'VE HEARD ABOUT THE  
20 EXAMPLE IN MELANOMA CLINICAL TRIALS WHERE PEOPLE ARE  
21 GOING TO DIE AND DIE IN A DIFFICULT WAY BECAUSE OF  
22 THE CANCER. THEY'VE GONE INTO CLINICAL TRIALS AND  
23 FOUND IT WAS PREFERABLE TO BE IN THE PLACEBO GROUP  
24 THAN IN THE TREATMENT GROUP. SO YOU DON'T KNOW THAT  
25 THIS IS GOING TO BE BETTER. AND UNDER THOSE

## BARRISTERS' REPORTING SERVICE

1 CONDITIONS, YOU PROBABLY WANT TO ERR ON THE SIDE OF  
2 CAUTION BEFORE HAVING PEOPLE ENTER TRIALS. AND  
3 THEREFORE, WE WOULD ARGUE THAT WE SHOULD BEGIN BY  
4 RESTRICTING INITIAL TRIALS WITH STEM CELL THERAPIES  
5 TO THOSE DISEASES AND DISORDERS WITH HIGH LEVELS OF  
6 MORTALITY AND/OR MORBIDITY AND THAT COULD HELP  
7 BALANCE THE UNKNOWN RISKS OF GOING IN THIS NEW  
8 DIRECTION AGAINST THE KNOWN HARMS OF THE PARTICULAR  
9 ILLNESS.

10 FOURTH, A ROBUST INFORMED CONSENT PROCESS,  
11 BUT I'M GOING TO GO FURTHER THAN BERNIE JUST WENT BY  
12 SAYING THAT WE USUALLY THINK ABOUT INFORMED CONSENT  
13 BEING A PROCESS FOR THE SUBJECT WHO IS GOING TO BE  
14 ENTERING THE RESEARCH. AND WHAT WE'RE SUGGESTING IS  
15 THAT WE NEED TO THINK OF A MORE ROBUST WAY OF  
16 THINKING ABOUT INFORMED CONSENT, THAT THIS INVOLVES  
17 NOT JUST THE SUBJECTS OF THE RESEARCH, THE POTENTIAL  
18 PATIENTS AS WE START USING THE THERAPIES THAT MIGHT  
19 COME OUT OF THOSE TRIALS, THE TREATING PHYSICIANS  
20 FOR THOSE PATIENTS, AND EVEN THE RESEARCHERS  
21 CONDUCTING THE CLINICAL TRIALS SO THAT THEY ALL  
22 UNDERSTAND THAT WE ARE WORKING IN UNCHARTERED  
23 TERRITORY AND THAT WE MIGHT FIND THINGS THAT WE JUST  
24 HADN'T EVEN ANTICIPATED THAT COULD BE PROBLEMS.

25 IT'S THAT UNANTICIPATED ISSUE THAT WE NEED



## BARRISTERS' REPORTING SERVICE

1 TO WATCH FOR, SO ANY ANOMALIES THAT WERE  
2 UNANTICIPATED MIGHT BE CALLED TO OUR ATTENTION AND  
3 REPORTED, MEANING THAT WE NEED TO BE DOING  
4 MONITORING, NOT JUST DURING THE TRIALS, BUT DURING  
5 THE CLINICAL PHASE.

6 AND THAT LEADS TO THIS FIFTH OBSERVATION  
7 OR PROPOSAL, WHICH IS THAT THAT KIND OF ANECDOTAL  
8 INFORMATION IS NOT RANDOMIZED CLINICAL TRIAL, BUT IT  
9 IS INFORMATION THAT CAN HELP US UNDERSTAND WHERE THE  
10 PROBLEMS MIGHT BE. AND THEN BASED ON THOSE  
11 OBSERVATIONS OF EVENTS OF CONCERN THAT HAVE OCCURRED  
12 DURING THE TRIALS OR MAYBE ARE OCCURRING LATER ONCE  
13 THE THERAPY IS IN THE CLINICS, THOSE OBSERVATIONS  
14 SHOULD BE ACCUMULATED AND THEN SUBSEQUENTLY IN  
15 CLINICAL PRACTICE IN A WAY THAT WE CAN THEN DESIGN  
16 BETTER FUTURE TRIALS AND FUTURE PROJECTS SO THAT WE  
17 CAN HOPEFULLY AVOID THE RISK THAT WE'VE DISCOVERED  
18 ALONG THE WAY.

19 SIXTH, PROSPECTIVE STUDIES SHOULD TEST FOR  
20 PLAUSIBLE RISKS. AND THIS IS BASED ON THAT  
21 ANECDOTAL INFORMATION. SO ONCE YOU'VE GOTTEN THAT  
22 ANECDOTAL INFORMATION, THE DECISION TO CONDUCT ANY  
23 STUDIES OF POTENTIAL ADVERSE EVENTS SHOULD BE BASED  
24 ON EVIDENCE. WE SHOULDN'T JUST SAY WE'RE GOING TO  
25 DO SOMETHING IN THE BRAIN. WE NEED TO TEST FOR ALL

## BARRISTERS' REPORTING SERVICE

1 POSSIBLE NEUROPSYCHOLOGICAL OUTCOMES IN EVERY  
2 CLINICAL TRIAL. THAT, WE'RE ARGUING, WOULD BE  
3 PROHIBITIVELY EXPENSIVE AND MAYBE WILL ACTUALLY  
4 CAUSE US TO THINK WE'RE CAPTURING EVERYTHING WHEN WE  
5 AREN'T. SO INSTEAD, BASE OUR DECISION ABOUT WHAT  
6 EXTRA THINGS NEED TO BE DONE ON EVIDENCE. AND THAT  
7 EVIDENCE WOULD COME FROM THE ANIMAL STUDIES THAT  
8 TOLD US WHAT WE MIGHT EXPECT FROM A MECHANISTIC  
9 EXPLANATION THAT WOULD TELL US WHAT WE MIGHT EXPECT  
10 ABOUT HOW THESE TREATMENTS MIGHT WORK OR NOT AND THE  
11 ANECDOTAL OBSERVATIONS THAT WE'VE COLLECTED DURING  
12 CLINICAL TRIALS OR APPLICATIONS.

13 FINAL POINT ABOUT WHAT WE SHOULD DO WAS  
14 NOT ACTUALLY IN OUR PAPER, BUT ONE THAT OCCURS TO ME  
15 THAT WE SHOULD THINK ABOUT AS WE LOOK AT THESE  
16 ISSUES IS THAT THERE ARE TWO META RISKS, RISKS AT A  
17 HIGHER LEVEL THAT WE'RE LOOKING AT. ON THE ONE  
18 SIDE, IGNORANCE OF THE SPECIAL RISKS OF THESE KINDS  
19 OF CELL-BASED INTERVENTIONS COULD RESULT IN SERIOUS  
20 SETBACKS IN THIS FIELD OF RESEARCH. IF ERRORS ARE  
21 MADE WITH THE FIRST TRIALS, WE GO TOO SOON INTO  
22 THOSE TRIALS, AND SERIOUS PROBLEMS DEVELOP, THE  
23 PUBLIC, LEGISLATORS, EVEN RESEARCHERS ARE GOING TO  
24 STEP BACK QUICKLY FROM WHAT WE'RE DOING, AND WE MAY  
25 ACTUALLY LOSE TIME AND LOSE SUCCESS IN THIS FIELD

## BARRISTERS' REPORTING SERVICE

1 BECAUSE WE HAD JUMPED TOO FAR TOO QUICKLY.

2 BUT ON THE OTHER HAND, IF WE PLACE  
3 EXCESSIVE HURDLES IN FRONT OF WHAT WE'RE DOING, THAT  
4 IS ALSO LIKELY TO IMPEDE PROGRESS. SO EITHER OF  
5 THOSE ERRORS RISK SLOWING OF PROMISING NEW  
6 TECHNOLOGY, AND THAT CAUSES HARMS, WHICH INCLUDES  
7 RESTRICTING OPPORTUNITIES FOR CLINICAL TRIALS THAT  
8 COULD BE HELPFUL AND DENYING PATIENTS NEW  
9 THERAPEUTIC OPTIONS.

10 SO IN SUMMARY, WE'RE PROPOSING THAT THE  
11 STANDARDS OF EVIDENCE BEFORE GOING INTO TRIALS IN  
12 HUMANS SHOULD INCLUDE ANIMAL STUDIES AND BETTER  
13 MECHANISTIC EXPLANATIONS THAN WE WOULD USUALLY  
14 EXPECT FOR ANY OTHER CLINICAL TRIAL. WE'RE ASKING  
15 FOR THE CONDUCT OF THESE INITIAL PHASE I TRIALS  
16 WHERE WE JUST WANT TO SEE IF SOMETHING IS SAFE, THAT  
17 THAT SHOULD PROBABLY FIRST BEGIN WITH SEVERE  
18 UNTREATABLE CONDITIONS, NOT THINGS AT A LOWER LEVEL.

19 FOURTH, WE SHOULD ENHANCE THE INFORMED  
20 CONSENT PROCESS TO INCLUDE NOT JUST THE SUBJECTS OF  
21 THE RESEARCH, BUT EVERYBODY INVOLVED TO HELP THEM  
22 UNDERSTAND THAT THEY'RE ENTERING UNCHARTERED  
23 TERRITORY, SOMETHING WHERE WE DON'T KNOW WHETHER  
24 THIS IS GOING TO WORK OR NOT, WHETHER IT'S GOING TO  
25 BE SAFE OR NOT, AND THAT IT IS IRREVERSIBLE ONCE WE

## BARRISTERS' REPORTING SERVICE

1 START THAT TRIAL.

2 FIFTH, WE SHOULD COLLECT ANECDOTAL  
3 INFORMATION AND USE THAT FOR EVIDENCE-BASED TESTING  
4 FOR ADVERSE EVENTS PROSPECTIVELY IN FUTURE TRIALS.

5 AND FINALLY, IN THIS PROCESS OUR GOAL IS  
6 TO BALANCE RISKS THAT WILL VARY FROM TRIAL TO TRIAL,  
7 FROM DISEASE TO DISEASE AS NEW INFORMATION IS  
8 COLLECTED AND AS WE LOOK AT SPECIFIC MECHANISMS AND  
9 SPECIFIC CIRCUMSTANCES.

10 SO HOW DO WE DO ALL OF THESE THINGS?  
11 THERE ARE A COUPLE OF PIECES HERE. ONE IS THAT  
12 PUBLIC OUTREACH IS ESSENTIAL. I KNOW THAT THAT'S  
13 BEEN PART OF THE CONVERSATION FROM THE VERY  
14 BEGINNING OF PROPOSITION 71 IN THIS STATE, OUR  
15 DISCUSSIONS ABOUT STEM CELLS, THAT WE NEED TO HAVE  
16 THE PUBLIC UNDERSTAND WHAT THE SCIENCE IS AND WHERE  
17 WE'RE GOING. BUT THE NATURE OF THESE TRIALS AS  
18 WE'RE ENTERING THEM, THE NATURE OF WHAT WE'RE DOING  
19 MEANS THAT WE NEED PEOPLE TO UNDERSTAND WHAT IS STEM  
20 CELL RESEARCH AND WHAT ISN'T.

21 I THINK MOST PEOPLE IN THIS ROOM ARE AWARE  
22 THAT THERE'S A LOT THAT GOES ON NOW THAT IS LABELED  
23 AS STEM CELL RESEARCH AND HAS THAT CACHE OF BEING  
24 MAGIC AND GOLDEN WHEN, IN FACT, SOMETIMES IT IS  
25 BASED ON EXTREMELY POOR SCIENCE AND IS NOT EVEN STEM

## BARRISTERS' REPORTING SERVICE

1 CELLS IN THE SENSE OF THE KIND OF RESEARCH THAT MOST  
2 OF US ARE FOCUSED ON.

3 SECONDLY, EXISTING INSTITUTIONAL REVIEW  
4 COMMITTEES HAVE THE STRUCTURE AND THE EXPERTISE TO  
5 ADDRESS ALMOST EVERYTHING THAT I'VE JUST DESCRIBED.  
6 CERTAINLY THE EXPERTISE IS THERE, AND THE STRUCTURE  
7 COULD BE USED APPROPRIATELY TO MEET THE STANDARDS  
8 THAT WE'RE TALKING ABOUT. THE PROBLEM IS THAT THAT  
9 ISN'T LIKELY TO HAPPEN UNLESS THERE IS A SPECIFIC  
10 CHARGE TO THOSE COMMITTEES.

11 ONE WAY FOR THAT SPECIFIC CHARGE TO OCCUR  
12 IS THROUGH REGULATION, AND THAT'S A PLACE WHERE THE  
13 STATE OF CALIFORNIA MIGHT SAY THIS IS WHAT WE EXPECT  
14 YOU TO DO THAT IS EXTRA IN ORDER TO ADDRESS THESE  
15 ISSUES. AND THESE COMMITTEES ARE THE PLACES THAT  
16 MIGHT OCCUR.

17 THE OTHER WAY TO DO IT IS, AND I'M NOT A  
18 BIG FAN OF REGULATION, I JUST SEE THAT SOMETIMES  
19 THINGS DON'T HAPPEN WITHOUT REGULATION, ANOTHER WAY  
20 TO DO THIS IS BY CONSENSUS. TO BRING TOGETHER  
21 PEOPLE, AND BERNIE'S MENTIONED A CONSENSUS KIND OF A  
22 MEETING, STATEWIDE MEETINGS TO LOOK AT WHAT WE WANT  
23 TO DO. AND AS A COMMUNITY WE CAN DECIDE WHERE WE  
24 WANT TO SET THE STANDARDS AND SET GUIDELINES, AND IT  
25 WOULD BECOME SIMPLY ACCEPTED THAT THIS IS THE WAY

## BARRISTERS' REPORTING SERVICE

1 GOOD PRACTICE IS HANDLED. IT DOESN'T MEAN THAT  
2 EVERYBODY WILL HAVE TO DO IT, BUT MOST PEOPLE WOULD  
3 DO IT. AND IT MAY BE THE MOST EFFECTIVE WAY TO MOVE  
4 FORWARD.

5 SO THOSE ARE SOME THOUGHTS ON WHAT WE  
6 MIGHT DO, AND I THINK I WAS AS BRIEF AS ASKED TO BE,  
7 SO WE HAVE TIME FOR DISCUSSION.

8 CHAIRMAN LO: GREAT. THANKS VERY MUCH,  
9 MIKE. QUESTIONS, COMMENTS FOR DR. KALICHMAN?

10 DR. WAGNER: FIRST OFF, I THINK A NUMBER  
11 OF THE ISSUES THAT YOU ADDRESS, THERE'S A LOT OF  
12 DIFFERENT ISSUES TO ADDRESS SIMULTANEOUSLY. ONE  
13 THING I WOULD JUST SUGGEST FROM THE VERY BEGINNING  
14 IS THAT WE DO HAVE A STEM CELL THERAPY ALREADY THAT  
15 REALLY IS USED IN EVERY APPLICATION WHETHER IT BE  
16 NEUROLOGICAL DISEASE OR EVERY OTHER DISEASE, AND  
17 THAT IS BONE MARROW TRANSPLANT. RIGHT NOW IT IS THE  
18 ONLY PROVEN STEM CELL THERAPY THAT EXISTS AND HAS  
19 BEEN IN THE PROCESS FOR 40 YEARS.

20 SO THERE'S A LOT OF LESSONS TO BE GAINED  
21 BY GOING BACK AND LOOKING AT SOME OF THOSE WHETHER  
22 IT BE CONSENT PROCESS OR WHETHER IT BE THE ANIMAL  
23 STUDIES THAT HAVE BEEN PERFORMED PRIOR TO MOVING  
24 FORWARD TO THESE THERAPIES.

25 BUT I THINK THAT WHAT'S DIFFERENT ABOUT

## BARRISTERS' REPORTING SERVICE

1 BONE MARROW TRANSPLANTS TYPICALLY, THAT IS CLASSIC  
2 BONE MARROW TRANSPLANTS TYPICALLY, AND THIS NEW STEM  
3 CELL THERAPEUTICS THAT ARE BEING SUGGESTED IN THE  
4 PRESS, AND THAT IS IT'S REALLY THE PATIENT  
5 POPULATION FOR WHICH YOU'RE DOING THE TREATMENT FOR.  
6 AND AS YOU SAY, HIGHLIGHTING THESE FIRST THERAPIES,  
7 PARTICULARLY FOR VERY ADVANCED HIGH RISK PATIENTS  
8 WITH END STAGE OR WITH VARIOUS SEVERE DISEASES,  
9 HOWEVER THAT'S DEFINED, IS PROBABLY PART OF THE  
10 PROBLEM THAT WE'VE GOTTEN INTO WHERE WE'VE BEEN  
11 TALKING ABOUT THINGS LIKE DIABETES AND THINGS LIKE  
12 SPINAL CORD INJURY, WHICH MAY NOT BE THE SAME  
13 CHARACTER AS PATIENTS WITH PANCREATIC CANCER AS A  
14 FIRST SORT OF FIRST-IN-MAN TYPE OF THERAPY.

15 SO ONE THING I DON'T WANT TO FORGET,  
16 THOUGH, AND THAT IS THAT WHEN WE TALK ABOUT A NUMBER  
17 OF THE ISSUES, HOW WE REGULATE THE FIELD OR HOW WE  
18 SET STANDARDS FOR STEM CELL THERAPIES, REMEMBER WE  
19 DO HAVE LESSONS FROM BONE MARROW TRANSPLANT WHICH IS  
20 THE ONLY IRREVERSIBLE THERAPY THAT WE'VE EVER DONE,  
21 AND IT IS VERY POSSIBLE THAT ES CELLS WILL NOT BE  
22 IRREVERSIBLE BECAUSE OF THE FACT THAT THEY'RE  
23 IMMUNOLOGICALLY DIFFERENT FROM THE PATIENT FOR WHOM  
24 THEY'RE INTENDED TO BE TREATED.

25 SO AGAIN, I WOULD GO BACK TO BONE MARROW

**BARRISTERS' REPORTING SERVICE**

1     TRANSPLANTING, BEGIN THERE WITH SOME OF YOUR  
2     COMMENTS.

3             DR. KALICHMAN:    THAT'S ACTUALLY AN  
4     EXCELLENT POINT, AND I DIDN'T MEAN TO IMPLY THAT WE  
5     HAVEN'T DONE THINGS THAT ARE IRREVERSIBLE BEFORE.  
6     AND WE HAVE DONE OTHER THINGS THAT ARE IRREVERSIBLE  
7     AS WELL.    THERE ARE A VARIETY OF SURGERY, SURGICAL  
8     TREATMENTS IN THE FIELD THAT I WAS INVOLVED WITH FOR  
9     QUITE A WHILE IS EPILEPSY.   AND THE IDEA OF GOING  
10    INTO THE BRAIN AND CUTTING THE CORPUS CALLOSUM TO  
11    SEPARATE THE TWO HEMI SPHERES, THAT WAS IRREVERSIBLE.  
12    YOU COULDN'T DECIDE LATER I WANT MY HEMI SPHERES  
13    CONNECTED BACK UP AGAIN.

14            SO, YES, WE DO HAVE PLENTY OF EXPERIENCE,  
15    BUT WHAT WE HAVE RIGHT NOW IS THIS USUALLY BOLD LINE  
16    BETWEEN PREPLURIPOTENT STEM CELL RESEARCH AND POST  
17    WHERE WE ARE NOW PUTTING CELLS INTO THE BODY THAT  
18    COULD BECOME ANY CELL OF THE BODY; OR IF WE  
19    DIFFERENTIATED THOSE CELLS, THERE'S A LOT OF  
20    INTEREST IN PUTTING THOSE CELLS INTO THE BRAIN,  
21    WHICH RAISES A WHOLE NEW LEVEL OF CONCERN BECAUSE  
22    MOST OF US THINK OF THE BRAIN AS BEING THE CENTER OF  
23    WHO WE ARE.   AND SO THOSE IRREVERSIBLE CHANGES MAY  
24    BE CONSIDERED EVEN MORE DRAMATIC AND WORRISOME THAN  
25    SOME OF THE OTHERS THAT WE MIGHT SEE.   BUT THERE'S A



**BARRISTERS' REPORTING SERVICE**

1 LOT TO BE LEARNED FROM THE OTHER.

2 DR. PRIETO: THIS IS OBVIOUSLY MAKING US  
3 ALL THINK A LOT ABOUT THIS. I THINK ONE OF OUR  
4 CHARGES AT THE CIRM IS TO IDENTIFY POSSIBLE CLINICAL  
5 APPLICATIONS FOR THIS RESEARCH AND HELP MOVE THEM  
6 INTO THE CLINIC. AND SO I THINK THE IDEA THAT  
7 BERNIE PROPOSED OF SCREENING OR HELPING TO CONVENE A  
8 CONSENSUS CONFERENCE THAT WOULD IDENTIFY THOSE  
9 POINTS THAT ARE GETTING IN THE WAY, INCLUDING THESE  
10 ISSUES, SEEMS LIKE A VERY GOOD IDEA TO ME.

11 CHAIRMAN LO: SHERRY.

12 MS. LANSING: I WANT TO THANK YOU FOR YOUR  
13 REPORT, AND WHAT WAS PARTICULARLY ENCOURAGING TO ME  
14 AS A PATIENT ADVOCATE, THAT SO MUCH OF WHAT YOU'RE  
15 RECOMMENDING ARE THE ISSUES THAT BERNIE AND OUR  
16 GROUP WAS ALSO BRINGING. AND I THINK THAT'S GREAT  
17 THAT THERE WAS REPETITION BECAUSE REPRESENTING THE  
18 PUBLIC, WHICH IS WHAT THIS, YOU KNOW, PROP 71 DOES,  
19 I THINK THAT WE HAVE AN OBLIGATION. I DON'T WANT TO  
20 SAY TO DO IT BETTER, BUT TO LOOK AT THE WAY IT'S  
21 BEEN DONE AND TO REEVALUATE IT AND TO MAKE SURE IT'S  
22 THE BEST WAY THAT IT'S BEEN DONE AND TO MAKE SURE  
23 THAT IT ADVANCES IT IN THE SAFEST POSSIBLE WAY.

24 AND WHEN WE DID THIS WITH THE OOCYTE  
25 DONATIONS, WE ACTUALLY CONSCIOUSLY SAID WE WERE

## BARRISTERS' REPORTING SERVICE

1 GOING TO ERR ON THE SIDE OF CAUTION AND WE COULD  
2 ALWAYS PULL BACK. AND I GUESS THAT'S REALLY WHAT I  
3 WOULD LIKE US TO DO. DO YOU KNOW? BECAUSE WE'RE  
4 GOING INTO UNCHARTERED TERRITORY. THERE HAS BEEN A  
5 LOT OF CONTROVERSY WITH IT. I WANT US TO ADVANCE AS  
6 QUICKLY AS POSSIBLE, BUT ERR ON THE SIDE OF CAUTION  
7 BECAUSE WE CAN ALWAYS PULL SOMETHING BACK.

8 I THINK A CONFERENCE TO GATHER  
9 COLLABORATION IS A MUST. AND I THINK DISSEMINATING  
10 INFORMATION WHICH I KNOW IS CONTROVERSIAL IS ALSO  
11 PART OF THE CHARTER THAT WE ADOPTED. I MEAN WE  
12 ACTUALLY SAID THAT ANYONE THAT FUNDED -- GOT FUNDING  
13 FROM US WOULD HAVE TO DISSEMINATE ALL THE  
14 INFORMATION. SO THIS IS REALLY AN EXTENSION OF  
15 THAT. AND OUR GOAL IS TO GET COLLABORATION BECAUSE  
16 THAT'S THE ONLY WAY THAT WE'RE GOING TO ADVANCE  
17 THINGS FURTHER.

18 AND THEN, YOU KNOW, TO LOOK AT SOME OF THE  
19 PRACTICES THAT ARE CURRENTLY DOING AND SAYING ARE  
20 THESE THE MOST ETHICAL. AND AS BERNIE SAID, IS THIS  
21 THE ONLY WAY? DO YOU HAVE TO DO THE SURGERY? DO  
22 YOU GIVE A PILL AND TELL PEOPLE THAT THIS PILL IS  
23 GOING TO WORK? AND DO THEY THEN HAVE THE MIND-SET  
24 THAT ENCOURAGES THEM TO HEAL PERHAPS.

25 SO I'M VERY GRATEFUL FOR YOUR REPORT, AND

**BARRISTERS' REPORTING SERVICE**

1 I'M ALSO VERY GRATEFUL THAT ALL OF US ARE KIND OF  
2 THINKING THE SAME WAY.

3 DR. KALICHMAN: I WOULD HESITATE TO ADD  
4 ANYTHING TO THAT BECAUSE I APPRECIATE WHAT YOU SAID.  
5 BUT I WOULD GO ONE STEP BEYOND YOUR POINT THAT  
6 THERE'S AN OBLIGATION TO DO THIS WELL BASED ON  
7 CALIFORNIA'S CONFIDENCE IN TRYING TO MOVE THIS  
8 MISSION FORWARD. I LOOK AT IT AS AN OPPORTUNITY,  
9 AND IT'S AN OPPORTUNITY TO DO SOMETHING BETTER THAN  
10 WE'VE DONE IN THE PAST. AGAIN, THERE'S THAT BOLD  
11 LINE OF A NEW KIND OF RESEARCH AND NEW APPROACH THAT  
12 AT LEAST IN SAN DIEGO WE'RE SEEING SOME EXAMPLES OF  
13 THE WAYS WE'RE DEALING WITH THIS RESEARCH  
14 DIFFERENTLY THAN WE DEALT WITH OTHER RESEARCH TO BE  
15 SURE THAT IT'S DONE AS WELL AS POSSIBLE.

16 MS. LANSING: THANK YOU.

17 CHAIRMAN LO: ROB.

18 DR. TAYLOR: THAT WAS, THANKS, I THINK A  
19 REALLY REASONED AND NICE PRESENTATION. AND I'D LIKE  
20 TO ACTUALLY COME BACK TO YOUR POINT NO. 7 AND MAYBE  
21 PARAPHRASE THAT A LITTLE BIT BECAUSE I THINK THE  
22 CONCEPT DOWN BELOW IT IS REALLY CRITICAL HERE. AND  
23 I DO BELIEVE THAT WE'RE TALKING ABOUT SETTING  
24 STANDARDS THAT ARE HIGHER THAN WHAT'S OCCURRED IN  
25 THE PAST. AND I'M NOT CONVINCED THAT THAT'S WHAT'S

**BARRISTERS' REPORTING SERVICE**

1 GOING FORWARD PRESENTLY.

2 SO AS YOU SAY, THIS IS A VERY  
3 CONTROVERSIAL AREA. I THINK THAT WHAT WE NEED TO DO  
4 IS HAVE THE CONSENSUS THOUGHT LEADERS THAT BERNIE  
5 HAS SUGGESTED, BUT WE REALLY NEED TO BRING THE FDA  
6 IN TO INFORM THEM BECAUSE, FROM WHERE I'M SITTING,  
7 THE LEAST AMOUNT OF INFORMATION MIGHT BE RESTING  
8 WITH THAT GROUP. AND WE MIGHT BE IN A POSITION  
9 WHERE WE CAN ACTUALLY INFORM THEM OF WHAT SHOULD GO  
10 FORWARD AS OPPOSED TO HAVING THE CART BEFORE THE  
11 HORSE, I THINK.

12 CHAIRMAN LO: JEFF.

13 MR. SHEEHY: I JUST HAVE A QUESTION. WHAT  
14 IS THE SPECTRUM OF CELL THERAPY THAT WE'RE TALKING  
15 ABOUT HERE? AND I GUESS FROM DR. WAGNER'S COMMENTS  
16 ABOUT BONE MARROW TRANSPLANTS WHICH ARE OCCURRING  
17 RIGHT NOW, PRESS REPORTS OF FETAL TISSUE TUMOR THAT  
18 WAS PUBLISHED IN *PLOS* FROM A FETAL STEM CELL  
19 TRANSPLANT THAT HAPPENED, ARE WE JUST TALKING ABOUT  
20 ES CELLS? BECAUSE WE HAVE ES CELLS, WE'LL HAVE IPS  
21 CELLS, WHAT ARE OUR BOUNDARIES HERE? AND SOME OF IT  
22 SEEMS TO BE PROCEEDING, YOU KNOW, KIND OF  
23 HELTER-SKELTER. THE ADULT STEM CELL FIELD SEEMS TO  
24 BE MOVING FORWARD. WHERE IS OUR CIRCLE?

25 DR. KALICHMAN: I THINK THERE ARE AT LEAST

## BARRISTERS' REPORTING SERVICE

1 SEVERAL KINDS OF ANSWERS TO THAT. ONE IS A  
2 REGULATORY ANSWER, WHICH IS WHAT IS GOING TO BE IN  
3 THE PURVIEW OF CIRM AND PURVIEW OF OUR SCRO AND  
4 ESCRO COMMITTEES. AND I'M NOT GOING TO ANSWER THAT  
5 QUESTION. I'LL LET OTHERS FROM CIRM ANSWER HOW THEY  
6 SEE THAT PLAYING OUT.

7 BUT THE OTHER QUESTION IS SORT OF A  
8 SOCIAL/ETHICAL QUESTION, AND THAT'S WHAT IS PLAYING  
9 OUT IN THE MINDS OF THE PUBLIC WHEN THEY HEAR THE  
10 WORD "STEM CELLS"? AND THE WORD "STEM CELLS" WERE  
11 HERE WELL BEFORE PROP 71. AND WE'VE HAD THIS SENSE  
12 OF STEM CELLS FOR MANY YEARS, AND YET NOW ALL OF  
13 THESE DIFFERENT KINDS OF CELLS ARE CLASSIFIED IN THE  
14 SAME WAY.

15 AND IN SAN DIEGO WE HAD A CONSIDERABLE  
16 AMOUNT OF DISCUSSION ABOUT PEOPLE WHO HAVE BEEN  
17 WORKING IN THE BONE MARROW STEM CELL AREA,  
18 HEMATOPOETIC STEM CELL FIELD FOR A LONG TIME, AND  
19 SEEING SOME PEOPLE IN THOSE FIELDS MOVING FORWARD  
20 WITH CLINICAL TRIALS THAT ARE PROMISING PERHAPS MORE  
21 THAN YOU WOULD EXPECT. THAT DOESN'T MEAN THEY'RE  
22 WRONG, BUT IT MEANS THAT THEY'RE PROMISING A GREAT  
23 DEAL. AND THEN IF YOU'RE A MEMBER OF THE PUBLIC  
24 THINKING ABOUT ENTERING A CLINICAL TRIAL, YOU HEAR  
25 STEM CELLS AND YOU HEAR ALL THE HYPE ABOUT STEM

## BARRISTERS' REPORTING SERVICE

1 CELLS DOING EVERYTHING, YOU' RE MORE LIKELY TO ENTER  
2 THAT TRIAL AND NOT CONSIDER THE RISKS. THAT' S JUST  
3 WITHIN THE REGULATORY STRUCTURE OF THE UNITED  
4 STATES. BUT ALL YOU HAVE TO DO IS CROSS THE BORDER,  
5 THEN, INTO TIJUANA, HEAR ABOUT THINGS GOING ON IN  
6 CHINA WHERE PEOPLE ARE GOING AND GETTING SO-CALLED  
7 STEM CELL THERAPIES WHERE THEY' RE PROMISING THINGS  
8 THAT THOSE OF US WHO UNDERSTAND SCIENCE AND THE  
9 NERVOUS SYSTEM, FOR EXAMPLE, FIND UNCONSCIONABLE  
10 BECAUSE THEY' RE PROMISING THINGS THAT ARE IN ALL  
11 LIKELIHOOD IMPOSSIBLE AS FAR AS WE CAN TELL AND YET  
12 CHARGING PEOPLE MONEY FOR BEING PARTS OF WHAT ARE  
13 SO-CALLED TRIALS.

14 SO WHEN YOU SAY WHAT' S THE SPECTRUM, FOR  
15 ME IT' S ANYTHING THAT ENDS UP HAVING THAT WORD "STEM  
16 CELL" IN IT IS SOMETHING WE SHOULD BE THINKING ABOUT  
17 WHEN WE TALK ABOUT THE ETHICAL ISSUES.

18 MR. SHEEHY: AND WE CAN ACTUALLY FUND, I  
19 BELIEVE, THE WHOLE SPECTRUM, SO --

20 DR. KALICHMAN: WELL, NOT THE BAD STUFF.  
21 YOU' RE NOT GOING TO FUND THE BAD STUFF.

22 DR. PRIETO: MAYBE WE HAVE TO HELP  
23 DETERMINE WHAT THE BAD STUFF IS.

24 DR. CSETE: I THINK THAT I REALLY WANT TO  
25 CORRECT SOMETHING ABOUT FDA NOT HAVING THE

## BARRISTERS' REPORTING SERVICE

1 EXPERIENCE AND THE VIEW OF ALL OF THIS. THEY SEE AN  
2 ENORMOUS AMOUNT MORE THAN WE WILL SEE ACROSS OUR  
3 DESKS. AND THERE'S -- AND THAT'S WHY IT'S VERY  
4 IMPORTANT FOR US TO SORT OF HAVE AN ONGOING DIALOGUE  
5 WITH THEM SO THAT WE CAN TRANSMIT BACK TO OUR  
6 INVESTIGATORS INFORMATION THAT'S NOT CONFIDENTIAL  
7 THAT WE LEARN FROM HAVING THAT ONGOING DIALOGUE.

8 AND E. J. IS PART OF A STANDING COMMITTEE  
9 AT FDA, AND I THINK YOU WANT TO SAY -- YOU SHOULD  
10 SAY SOMETHING ABOUT THE SPECTRUM OF THINGS THAT COME  
11 BEFORE THEM.

12 DR. READ: YOU MEAN FOR THE LIAISON  
13 COMMITTEE. SO, YEAH, I'M ON A CELL THERAPY LIAISON  
14 COMMITTEE WITH FDA. AND FDA ACTUALLY HAS LIAISON  
15 COMMITTEES IN ALL DIFFERENT AREAS, BLOOD AND, YOU  
16 KNOW, DRUGS, VARIOUS OTHER THINGS. AND THAT LIAISON  
17 COMMITTEE ACTUALLY HAS REPRESENTATIVES FROM A NUMBER  
18 OF STAKEHOLDER ORGANIZATIONS. AND ACTUALLY MARIE  
19 WAS TRYING TO GET CIRM TO BE A STAKEHOLDER  
20 ORGANIZATION, AND I THINK THAT'S A WORK IN PROGRESS  
21 BECAUSE IT'S A STATE ORGANIZATION, AND THEY WEREN'T  
22 INITIALLY WILLING TO TAKE ON STATE ORGANIZATIONS,  
23 BUT WE'RE LOBBYING A LITTLE BIT THERE.

24 SO I'M REPRESENTING ONE OF THE OTHER  
25 ORGANIZATIONS. AND THAT LIAISON MEETING IS A FORUM

## BARRISTERS' REPORTING SERVICE

1 FOR STAKEHOLDERS TO EDUCATE FDA ABOUT WHAT'S GOING  
2 ON. AND SOMETIMES THEY COMMENT AND SOMETIMES THEY  
3 DON'T, BUT THAT'S ONE OF THE MECHANISMS TO HAVE AN  
4 ONGOING DIALOGUE WITH THEM.

5 THE OTHER MECHANISM IS THAT THERE ARE  
6 MEETINGS OF PROFESSIONAL ORGANIZATIONS. SO, FOR  
7 EXAMPLE, WE'VE HAD THIS SOMATIC CELL THERAPY MEETING  
8 FOR THE LAST NINE YEARS THAT HAS ACTUALLY BEEN  
9 CO-SPONSORED BY FDA, ALTHOUGH THE CO-SPONSORSHIP IS  
10 PROBABLY GOING TO GO AWAY, WHICH ISN'T THAT BIG A  
11 DEAL. BUT AT THAT MEETING WE HAVE TRIED TO ACTUALLY  
12 PRESENT CASES OF NEW THERAPIES BEING DEVELOPED GOING  
13 INTO CLINICAL TRIALS, PRECLINICAL DATA, QUALITY  
14 ISSUES, AND SO ON. AND FDA HAS ACTUALLY BEEN A  
15 WILLING PARTICIPANT IN THAT. SO THAT'S BEEN A GOOD  
16 MECHANISM FOR DIALOGUE.

17 THE KEY ISSUE IS THAT THEY'RE NOT ALLOWED  
18 TO TELL YOU WHAT INDIVIDUAL SPONSORS HAVE DONE. SO  
19 THE KEY IS TO TRY TO GET THE SPONSORS TO GET OUT AND  
20 GET AWAY FROM THIS PROPRIETARY THING AND SHARE THAT  
21 WITH OTHER PEOPLE. AND I THINK TO THE EXTENT THAT  
22 CIRM CAN ENCOURAGE THAT, THAT'S GOING TO BE REALLY  
23 VALUABLE. BUT I AGREE WITH MARIE. FDA ACTUALLY  
24 KNOWS A WHOLE LOT MORE THAN -- THEY JUST CAN'T  
25 COMMUNICATE IT.



## BARRISTERS' REPORTING SERVICE

1 DR. TAYLOR: THAT'S REASSURING. I GUESS  
2 THE LACK OF TRANSPARENCY, THERE IS A GOOD SIDE OF  
3 THAT, THAT THE INFORMATION REALLY IS GOING IN, BUT  
4 IT'S KIND OF HARD FOR US OUTSIDE OF THAT SYSTEM TO  
5 REALLY BE ABLE TO UNDERSTAND THAT.

6 DR. READ: BUT I THINK THE BIG EFFORT  
7 SHOULD BE TO TRY TO KEEP ENGAGING THEM. AND SO  
8 THAT'S WHERE WE'RE TRYING TO HAVE THIS MEETING IN  
9 MAY TO REALLY GET THAT GOING BECAUSE I THINK THAT  
10 COULD BE AN ANNUAL MEETING. IT COULD GROW. BUT THE  
11 REAL KEY THERE IS TO GET SPEAKERS WHO, EVEN FROM  
12 COMPANIES, WHO ARE WILLING TO SAY HOW THEY PUT  
13 TOGETHER THEIR PRECLINICAL SAFETY PROGRAM AND WHAT  
14 FDA SAID ABOUT IT. BECAUSE FDA CAN'T GET UP AND  
15 SAY, WELL, THIS IS WHAT WE SAID ABOUT IT BECAUSE  
16 THAT'S PROPRIETARY.

17 CHAIRMAN LO: COULD I ASK ELIZABETH AND  
18 MARIE A FOLLOW-UP QUESTION? SO WHAT ARE THE  
19 INCENTIVES THAT WOULD ENCOURAGE SPONSORS TO  
20 VOLUNTARILY DISCLOSE INFORMATION THAT THE FDA IS  
21 CHARGED WITH KEEPING CONFIDENTIAL? AND WHAT KIND  
22 OF -- WHAT'S IN THE TOOLBOX THAT CIRM HAS AT ITS  
23 DISPOSAL TO SORT OF ENCOURAGE MORE TRANSPARENT  
24 DISCUSSION OF THOSE CONFIDENTIAL MATERIALS?

25 DR. CSETE: WE CAN ENCOURAGE. I THINK

## BARRISTERS' REPORTING SERVICE

1 THAT'S THE RIGHT WORD. I THINK LOOKING AT THE  
2 PUBLICATION BEHAVIOR OF SOME OF THE COMPANIES THAT  
3 ARE FAR ALONG IN THIS IS INTERESTING. I THINK  
4 NOVOCELL, FOR EXAMPLE, HAS BEEN VERY OPEN ABOUT  
5 PUBLISHING DETAILS OF ITS PROTOCOLS, HIS  
6 DIFFERENTIATION PROTOCOLS, TO GET TO BETA CELLS;  
7 WHEREAS, WE HAVEN'T FROM OTHER COMPANIES SEEN THAT  
8 KIND OF DETAIL. AND THE COMPANY'S COMFORT LEVEL  
9 WITH THAT INFORMATION IS SOMETHING WE JUST, YOU  
10 KNOW, CAN'T CONTROL.

11 DR. READ: I AGREE. I'M ALWAYS BEWILDERED  
12 BY -- I DON'T KNOW HOW THEY MAKE THAT DECISION. I  
13 MEAN THEY HAVE INTERNAL DECISIONS IN THE COMPANIES,  
14 AND I HAVEN'T BEEN -- YOU CAN'T PREDICT WHO'S GOING  
15 TO DO IT AND WHO'S NOT. WHEN WE CHOSE SOME SPEAKERS  
16 FOR THIS MAY MEETING, I HAD TO KIND OF GO BEHIND THE  
17 SCENES TO SOME PEOPLE I KNOW AND SAY WHO DO YOU  
18 THINK WILL DO IT. AND THEY SAY I THINK THIS PERSON,  
19 YOU KNOW, BECAUSE THERE'S A LOT OF BEHIND THE SCENES  
20 KNOWING WHO WILL BE ABLE TO SPEAK AND NOT. AND THEN  
21 THEY HAVE TO KIND OF GET A CORPORATE DECISION.

22 WE'VE BEEN IN THE POSITION WHERE WE'VE  
23 INVITED SPEAKERS AND WE THOUGHT THEY WERE GOING TO  
24 SAY SOMETHING, AND THEY SHOW UP AND THEY SAY A  
25 CERTAIN AMOUNT, BUT SOMEBODY IN THE RISK MANAGEMENT

## BARRISTERS' REPORTING SERVICE

1 OR SOMEWHERE ELSE IN THEIR CORPORATION CUTS IT OFF  
2 AT SOME POINT. SO, YOU KNOW, IT'S HARD TO PREDICT.  
3 I WOULD SAY AS A GENERAL STATEMENT THE ACADEMIC  
4 PEOPLE ARE ALWAYS MORE WILLING TO REVEAL WHAT  
5 THEY'RE DOING.

6 DR. CSETE: AND I WOULD -- I'M REALLY  
7 HAPPY FOR SUGGESTIONS, BUT I THINK FROM OUR  
8 PERSPECTIVE, WE NEED SOMETHING REALLY QUITE  
9 CONCRETE. WHAT CAN WE DO -- YOU KEEP TALKING ABOUT  
10 BEYOND THE FDA, BEYOND THE OFFICE OF HUMAN SUBJECTS  
11 RESEARCH PROTECTION, BEYOND, BEYOND. AND THOSE -- A  
12 LOT OF THESE THINGS ARE GOING TO BE -- AND BEYOND  
13 THE FACT THAT WE WILL HAVE AN ACTIVE PRESENCE ON  
14 MONITORING BOARDS FOR DISEASE TEAMS, WE CAN'T  
15 REGULATE. WE CAN ENCOURAGE. AND I THINK WE CAN  
16 CERTAINLY DEVELOP A FORUM FOR NEGATIVE DATA. WE'RE  
17 NOT TALKING ABOUT TRIALS AT THIS POINT BECAUSE WE'RE  
18 NOT FUNDING TRIALS, BUT NEGATIVE DATA THAT IS  
19 IMPORTANT FOR PEOPLE TO SEE WHICH IS DIFFICULT TO  
20 PUBLISH.

21 SO YOU CAN TRY TO ENFORCE PUBLISHING, FOR  
22 EXAMPLE, BUT FINDING A PUBLISHER WHO WILL TAKE  
23 NEGATIVE DATA ISN'T ALWAYS TRIVIAL. SO I THINK --  
24 AND WE HAVE TALKED INTERNALLY ABOUT USING OUR  
25 WEBSITE AND OUR GRANTEE MEETINGS AND OTHER

## BARRISTERS' REPORTING SERVICE

1 MECHANISMS FOR GETTING THAT KIND OF DATA ACROSS.  
2 BUT BEYOND WHAT'S THERE ALREADY TO PROTECT PATIENTS  
3 AND PUTTING UP BEAUTIFUL -- THE BEST POSSIBLE  
4 CONSENT FORMS, THE BEST POSSIBLE EXAMPLES OF  
5 RESEARCH, WE NEED SOME HELP ABOUT WHAT THAT EXACTLY  
6 MEANS.

7 CHAIRMAN LO: PAT AND THEN --

8 DR. OLSON: I JUST WANT -- THIS IS A VERY  
9 DIFFICULT QUESTION. AND COMING FROM AN INDUSTRY  
10 VIEWPOINT, I GUESS I JUST WANT TO -- OR COMING FROM  
11 HAVING BEEN IN INDUSTRY, I GUESS, I MEAN I THINK  
12 NOVOCELL HAS BEEN ONE OF THE MORE OPEN ABOUT IT. IT  
13 MAY BE BECAUSE THEY FEEL THEY'RE VERY SECURE IN  
14 THEIR PROPRIETARY POSITION. OTHER COMPANIES WHO MAY  
15 HAVE PATENTS THAT ARE MORE PATENTS AS OPPOSED TO  
16 COMPOSITION OR GOING TO NEED TO LICENSE PATENTS,  
17 THEY HAVE TO PROBABLY -- THEY HAVE TO MAINTAIN WHAT  
18 I'LL CALL TRADE SECRETS AND/OR INTELLECTUAL PROPERTY  
19 TO PUT THEM IN A POSITION TO ALMOST BE ABLE TO  
20 ACQUIRE IN SOME CASES WHAT THEY MAY NEED OR TO  
21 TRADE.

22 IT'S NOT -- I'VE HEARD OF COMPANIES WHO  
23 HAVE GONE OUT OF BUSINESS BECAUSE THEY WERE NOT ABLE  
24 TO GET A KEY PIECE OF INTELLECTUAL PROPERTY EVEN  
25 THOUGH THEY'VE HAD A LOT OF PROCESS PATENTS AROUND

## BARRISTERS' REPORTING SERVICE

1 IT. I THINK A LOT OF THE TIMES COMPANIES VIEW SOME  
2 OF THIS INFORMATION AS COMPETITIVE ADVANTAGE. SO  
3 IT'S A VERY DIFFICULT SITUATION. I THINK I'M EVEN  
4 CONCERNED IN APPLICATIONS WE GET FROM COMPANIES AS  
5 WE GO FORWARD WHEN WE ASK THEM TO DESCRIBE THEIR  
6 PRECLINICAL PACKAGE OR THEIR REGULATORY STRATEGY OR  
7 WHEN WE HAVE -- HOW ARE WE -- WHAT KIND OF  
8 INFORMATION ARE WE GOING TO GET AND WHAT KIND OF  
9 BOUNDARIES ARE THEY GOING TO PUT ON US FOR  
10 DISCLOSURE? BECAUSE IT'S THE SAME SORT OF THING,  
11 WHEREAS I DO APPRECIATE THE DESIRE TO TRY AND HAVE  
12 THIS AS TRANSPARENT AS POSSIBLE.

13 AND ANOTHER THING THAT IN SOME SENSES PUT  
14 THIS IN PERSPECTIVE FOR ME. WHEN I TALKED TO THE  
15 FDA HEAD FOR SELLING GENE THERAPY, AND THEY SAID  
16 THAT THE NUMBER OF ACTIVE IND'S, 70 PERCENT OF THOSE  
17 WERE FROM ACADEMIC INVESTIGATORS. VERY FEW ARE FROM  
18 COMPANIES WHO ARE THE PEOPLE WHO ARE ACTUALLY TAKING  
19 THINGS FORWARD TO MARKET. AND THE MAJORITY OF THE  
20 ACADEMIC INVESTIGATORS ARE THOSE WHO ARE -- I MEAN I  
21 THINK IT'S ACKNOWLEDGED. THEY'RE ANSWERING AN  
22 IMPORTANT QUESTION WHICH WE HOPE WILL ADD TO THE  
23 KNOWLEDGE BASE THAT WILL MOVE THE FIELD FORWARD  
24 BROADLY. BUT THEY'RE GENERALLY NOT ON A  
25 COMMERCIALIZATION TRACK. SO IT'S A WHOLE DIFFERENT

**BARRISTERS' REPORTING SERVICE**

1     THING WHEN YOU'RE ON A COMMERCIALIZATION TRACK.    AND  
2     I JUST THINK YOU HAVE TO BALANCE ALL THESE KINDS OF  
3     FACTORS IN YOUR THINKING ABOUT THIS.

4             DR. KIESSLING:    ONE OF THE THINGS THAT  
5     I'VE BECOME AWARE OF THAT I DIDN'T REALIZE BEFORE IS  
6     THAT A COMPANY CAN ORGANIZE A CLINICAL TRIAL THROUGH  
7     A HOSPITAL.    IT CAN GO THROUGH THIS HUMAN SUBJECTS  
8     REVIEW PROCESS, AND THEN THE COMPANY OWNS THE DATA.  
9     SO FREQUENTLY THAT DATA IS NEVER DISCLOSED TO  
10    ANYBODY IF THEY CHOOSE TO NOT DISCLOSE IT.    I DON'T  
11    KNOW WHAT WE CAN DO ABOUT THAT AS A COMMITTEE, BUT  
12    THAT'S ACTUALLY EVIDENTLY NOT A TRIVIAL PROBLEM.    SO  
13    THAT PUTS THE INSTITUTION INTO THE POSITION OF WHERE  
14    THEY'VE RECRUITED HUMAN SUBJECTS TO PARTICIPATE IN  
15    THE RESEARCH, AND THE RESEARCH THEN IS NEVER REALLY  
16    DISCLOSED, WHETHER IT WORKED OR DIDN'T WORK OR  
17    WHATEVER.

18            AND I DON'T KNOW IF THAT'S SOMETHING THAT  
19    COULD BE DONE AT THE LEVEL OF THE STATE BECAUSE WE  
20    COULD ONLY, I'M ASSUMING, REGULATE FOLKS THAT  
21    REQUIRE CIRM FUNDS TO DO THEIR WORK.    BUT THERE  
22    SEEMS TO BE SOMETHING REALLY WRONG WITH RECRUITING  
23    PEOPLE INTO ANY KIND OF HUMAN SUBJECTS RESEARCH AND  
24    THEN NOT HAVING THE INFORMATION KNOWN AFTER THAT.

25            CHAIRMAN LO:    I HAVE ALTA AND THEN

## BARRISTERS' REPORTING SERVICE

1 FRANCISCO.

2 DR. READ: I WAS GOING TO MENTION THE  
3 ISSUE OF THE OWNERSHIP OF THE DATA. IN CLINICAL  
4 RESEARCH, THERE ARE SOME INSTITUTIONS -- WHEN I WAS  
5 AT THE NIH CLINICAL CENTER, THE NIH ALWAYS  
6 NEGOTIATED THAT THE NIH OWNED THE DATA. SO THIS IS  
7 IN THE INTRAMURAL PROGRAM. OKAY. SO IN OTHER  
8 WORDS, THAT WAS JUST PART OF THE AGREEMENT, THE  
9 LEGAL AGREEMENT.

10 DR. PRIETO: CASE BY CASE.

11 DR. READ: IT WAS DONE CASE BY CASE, I  
12 BELIEVE, BUT THAT'S HOW IT ALWAYS ENDED UP. BUT I  
13 DON'T KNOW WHAT OTHER UNIVERSITIES DO. AND THE  
14 THING IS THAT IF THEY GO IN --

15 MR. SHESTACK: THESE AREN'T  
16 (INDISCERNIBLE) IN THE INTRAMURAL PROGRAM.

17 DR. READ: RIGHT.

18 DR. OLSON: IT WON'T HAPPEN IN A COMPANY.

19 DR. READ: IN THE INTRAMURAL PROGRAM,  
20 THAT'S HOW IT ALWAYS KIND OF WORKED OUT. SO THAT'S  
21 WHAT WE WOULD HAVE. NOW, I DON'T KNOW WHAT  
22 DIFFERENT UNIVERSITIES DO. I DON'T KNOW WHETHER  
23 UCSF ARGUE THAT IS IT'S THEIR DATA, BUT I THINK  
24 YOU'RE RAISING A GOOD POINT. AND THE QUESTION IS IF  
25 CIRM IS GIVING MONEY TOWARDS A CLINICAL TRIAL, CAN

## BARRISTERS' REPORTING SERVICE

1 THEY SOMEHOW HAVE INFLUENCE OVER WHO OWNS THE DATA?

2 DR. KIESSLING: MY POINT IS NOT WHO OWNS  
3 THE DATA. MY POINT IS WHO KNOWS THE DATA? AND I  
4 THINK THERE'S REAL INTELLECTUAL PROPERTY ISSUES  
5 AROUND WHO OWNS THE DATA AND CAN PROTECT THE DATA.  
6 BUT I THINK THE ISSUE IS HOW CAN YOU PROMOTE AN  
7 ENVIRONMENT WHERE NO MATTER WHAT THE OUTCOME FROM  
8 THIS HUMAN SUBJECTS PARTICIPATION WAS, IT BECOMES  
9 PUBLICLY KNOWN.

10 CHAIRMAN LO: LET ME JUST ADD A FACTUAL  
11 STATEMENT. AT UCSF YOU CANNOT SIGN A RESEARCH  
12 CONTRACT OR GRANT UNLESS IN PART OF THE CONTRACT  
13 LANGUAGE THE INVESTIGATOR HAS THE RIGHT TO PUBLISH  
14 THE DATA WITHOUT CENSORSHIP, BUT THE SPONSOR HAS THE  
15 RIGHT TO DELAY PUBLICATION FOR SEVERAL MONTHS TO  
16 ALLOW A PATENT TO BE FILED SO THAT THAT'S PART OF  
17 THE CONTRACTUAL ARRANGEMENT BETWEEN THE INSTITUTION  
18 RECEIVING THE FUNDING TO CARRY OUT A TRIAL AND THE  
19 SPONSOR.

20 SO I HAVE A LOT OF PEOPLE ON THE LIST, SO  
21 LET ME GO THROUGH.

22 MR. SHESTACK: IT'S NOT NECESSARILY THAT  
23 IMPORTANT, BUT IN A TIME OF DIMINISHING FINANCES, IT  
24 MIGHT BE MORE IMPORTANT, WHICH IS NOBODY FEELS ANY  
25 COMPUNCTION EVER TO PUBLISH NEGATIVE RESULTS,



## BARRISTERS' REPORTING SERVICE

1 COMPANIES OR RESEARCHERS. BUT IT MIGHT SAVE A LOT  
2 OF TIME, AND IT MIGHT BE SOMETHING THAT WE WOULD  
3 LIKE TO PUT A RECOMMENDATION TOWARDS.

4 CHAIRMAN LO: I HAVE ALTA AND THEN  
5 FRANCISCO. OTHERS WANT TO GET IN ON THIS.

6 DR. TROUNSON: BERNIE, THIS IS A VERY  
7 IMPORTANT POINT, I THINK, COLLECTIVELY FOR THE WHOLE  
8 COMMUNITY BECAUSE IF YOU'RE UNABLE TO GET ACCESS TO  
9 WHAT THE NEGATIVE RESULTS ARE, REALLY WHAT YOU ARE  
10 GOING TO DO IS ENCOURAGE PEOPLE TO GO OFFSHORE,  
11 OFFSITE TO WHERE THEY'RE ADVERTISING CLINICAL TRIALS  
12 FOR THE BENEFIT OF A WIDE RANGE OF DISEASES. WE  
13 HAVE TO GET A PERSPECTIVE HERE THAT REALLY SORT OF  
14 IS IN THE INTEREST OF THE COMMUNITY AS WELL. SO I  
15 THINK CIRM SHOULD TAKE A ROLE. IT WILL NEED TO BE  
16 AT A LEVEL THAT WE'RE ALLOWED TO LEGALLY AND  
17 APPROPRIATELY UNDER THE STATUTES AND WHAT WE CAN  
18 NEGOTIATE. BUT I SENSE THAT IF WE DON'T DO THIS, WE  
19 DON'T TAKE THIS LEADERSHIP POSITION, WE'RE ACTUALLY  
20 NOT DOING ANYBODY A FAVOR. IN FACT, I THINK WE  
21 COULD BE CAUSING A GREAT DEAL OF DAMAGE.

22 SO I THINK THAT PERSPECTIVE NEEDS TO BE ON  
23 BOARD FOR ALL OF US BECAUSE I THINK THIS IS EXACTLY  
24 WHAT YOUR COMMITTEE, BERNIE, IS SORT OF CHARGED AT  
25 LOOKING AT CLOSELY.

**BARRISTERS' REPORTING SERVICE**

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

CHAIRMAN LO: ALTA.

DR. CHARO: TWO THOUGHTS, ONE OF WHICH  
FOLLOWS DIRECTLY ON WHAT YOU SAID, ALAN. I THINK  
JUST IN TERMS OF DISCUSSING THIS, AND IN GENERATING  
SUPPORT OR DEBATE AMONG PARTICULARLY POLITICAL  
COMMUNITIES, I THINK IT'S VALUABLE TO BE A LITTLE  
MORE PRECISE ABOUT THE DOWNSIDES OF THE SECRECY  
BECAUSE RIGHT NOW THERE'S BEEN A VERY SUCCESSFUL  
MOVEMENT TO OPEN UP DATA, INCLUDING NEGATIVE DATA,  
FROM CLINICAL TRIALS THROUGH CLINICALTRIALS.GOV.  
AND THE THEORY THERE WAS YOU DID NOT WANT ADDITIONAL  
HUMAN SUBJECTS TO BE ENROLLED AND PUT AT MEDICAL  
RISK IF WE ALREADY KNEW FROM ANOTHER TRIAL THAT IT  
WAS EITHER INEFFECTUAL OR TOXIC. AND THAT WAS AN  
EASIER ARGUMENT TO MAKE BECAUSE IT WAS ABOUT HUMAN  
SAFETY AND ACTUAL INDIVIDUALS WHO MIGHT BE ENROLLED.

WHAT WE'RE TALKING ABOUT NOW IS A STEP  
BEHIND THAT, RIGHT, WITH THE PRECLINICAL WORK WHICH  
IS REALLY ABOUT NOT DIRECTLY HURTING A HUMAN BEING  
BY ENROLLING THEM POINTLESSLY. IT'S ABOUT THE  
ENTIRE FIELD BEING HELD BACK. AND THIS IS WHY  
YESTERDAY I SAID THERE'S A LOT OF ECHO HERE OF THE  
SAME CONVERSATIONS AROUND PATENTS BECAUSE IT IS THIS  
POTENTIAL ABOUT WHAT REALLY DOES MOVE THE FIELD  
FORWARD. IS IT SECRECY TO INCENTIVIZE MORE PEOPLE

## BARRISTERS' REPORTING SERVICE

1 TO INVEST SO THAT, FRUSTRATING AS IT IS, IT ALL  
2 MOVES FORWARD MORE QUICKLY, OR IS IT TRANSPARENCY,  
3 OR IS IT SOME SWEET SPOT. AND THE PROBLEM IS NO  
4 EMPIRICAL DATA TO TELL YOU WHICH ONE IS GOING TO  
5 ACTUALLY WORK.

6 SO JUST AS A POINT OF DISCUSSION, I THINK  
7 IN PUBLIC DISCUSSION IT'S GOING TO BE IMPORTANT TO  
8 NOTE THAT WHAT WE'RE REALLY TALKING ABOUT IS  
9 INTERVENING IN THE CURRENT VERSION OF CAPITALISM AND  
10 INTELLECTUAL PROPERTY AND NOT HUMAN SAFETY DIRECTLY,  
11 ALTHOUGH CLEARLY IT HAS AN EFFECT DOWN THE LINE.

12 THE SECOND WAS JUST A QUESTION THAT CAME  
13 TO MIND AFTER PAT SPOKE ABOUT CIRM'S OWN PROCESS.  
14 BECAUSE IT DOES SOUND LIKE TO SOME EXTENT YOU HAVE A  
15 SIMILAR DILEMMA TO THE FDA TAKING PLACE INTERNALLY.  
16 THAT IS, YOU RECEIVE GRANT PROPOSALS, THEY SPELL OUT  
17 A RESEARCH PROTOCOL, A QUESTION, AND A PROPOSED WAY  
18 TO ANSWER IT, BUT YOU MAY HAVE SEEN OTHER PROTOCOLS  
19 FROM OTHER PROSPECTIVE GRANTEEES THAT HAVE GIVEN YOUR  
20 PEER REVIEWERS SOME INSIGHT INTO WHAT WORKS OR  
21 DOESN'T WORK.

22 SO I WAS JUST CURIOUS HOW YOU ARE OR  
23 ANTICIPATE MANAGING THAT PROCESS WITHIN YOUR OWN  
24 PEER REVIEW PROCESS FOR GRANT MAKING BECAUSE THAT  
25 MIGHT BE AN INTERESTING WAY TO THINK ABOUT MODELING

## BARRISTERS' REPORTING SERVICE

1 WHAT IT IS THAT YOU WANT TO BE DONE ON A BROADER  
2 SCALE.

3 DR. TROUNSON: I THINK THEY'RE VERY  
4 IMPORTANT POINTS BOTH, BUT THE LATER ONE, YOU KNOW,  
5 THERE ARE PEOPLE ON THE BOARD HERE WHO HAVE  
6 EXPERIENCED THIS. JEFF AND JOSE, FOR EXAMPLE. SO  
7 THOSE PEOPLE WHO SIT THERE TIME AFTER TIME AFTER  
8 TIME PROBABLY EVEN BETTER THAN SOME OF THE REVIEWERS  
9 IN SEEING THE DOWNSIDE OF, SAY, SOME ANIMAL MODELS  
10 OR SOME APPROACHES THAT ARE BY THE REFEREE'S  
11 ASSESSMENT NOT CONSIDERED STATE-OF-THE-ART OR AS  
12 GOOD AS SHOULD BE. AND THERE'S AN ISSUE THERE ABOUT  
13 THAT INFORMATION IN OUR RECORDS THAT WE NEVER GO IN  
14 AND COLLATE, IF YOU LIKE.

15 AND I DON'T KNOW IF THAT COULD CAUSE ANY  
16 DAMAGES IF WE DID GO IN AND COLLATE THE ASSESSMENTS  
17 OF REVIEWERS IN THESE AREAS, BUT IT COULD BE VERY  
18 HELPFUL. FOR EXAMPLE, IN SOME MODELS, PARTICULARLY  
19 THE ARGUMENT, FOR EXAMPLE, WHETHER WE SHOULD BE  
20 DOING PRIMATE RESEARCH AS WELL.

21 DR. CHARO: PARTICULARLY IF YOU WERE DOING  
22 REVISE AND RESUBMIT AS A RESPONSE TO A PROSPECTIVE  
23 GRANTEE.

24 DR. TROUNSON: RIGHT. SO I KNOW AND THE  
25 STAFF KNOW THAT AT TIMES THE REVIEWERS WILL BE,

## BARRISTERS' REPORTING SERVICE

1 BECAUSE WE HAVE A RANGE OF REVIEWERS, THAT THEY' LL  
2 BE UNAWARE OF PREVIOUS CRITIQUE OF A CERTAIN AREA,  
3 AND WE MIGHT BRING THAT TO THEIR ATTENTION,  
4 PARTICULARLY IF THERE IS A PUBLICATION ON IT. SO I  
5 THINK RESIDENT IN OUR INFORMATION BASE ARE SOME  
6 THINGS WHICH ARE PROBABLY VERY IMPORTANT. AND WE  
7 HAVEN' T AT THIS STAGE TRIED TO TRAWL THAT OUT, BUT I  
8 SUSPECT IT MIGHT BE USEFUL IF WE COULD FIND THE TIME  
9 TO DO THAT BECAUSE IT COULD BE HELPFUL TO APPLICANTS  
10 AND THE WHOLE FIELD GOING FORWARD.

11 DR. PRIETO: A COUPLE COMMENTS. FIRST, I  
12 APPRECIATE, ALAN, WHAT YOU' RE SAYING BECAUSE I AGREE  
13 THAT I THINK WE CAN PLAY A LEADERSHIP ROLE, ALTHOUGH  
14 WE ARE RESTRICTED TO WHAT WE FUND AND WHAT HAPPENS  
15 WITHIN CALIFORNIA UNDER OUR PURVIEW. BUT IT SEEMS  
16 TO ME THAT CONVENING A CONSENSUS CONFERENCE OR  
17 PARTICIPATING IN THAT MIGHT HELP TO DEFINE FOR  
18 RESEARCHERS AND APPLICANTS EVERYWHERE WHAT THE  
19 GENERAL CONSENSUS IS OF THE BEST PRACTICE IN THIS  
20 AREA.

21 AND I APPRECIATE, PAT, WHAT YOU' RE SAYING,  
22 THAT THERE' S A VERY FINE LINE HERE THAT HAS TO BE  
23 WALKED. AND YOU KNOW WHAT I THINK WE NEED MORE HELP  
24 ARRIVING AT IS DETERMINING WHERE THAT LINE NEEDS TO  
25 BE DRAWN. WHAT DO WE TELL PEOPLE APPLYING, AND

## BARRISTERS' REPORTING SERVICE

1 HOPEFULLY THAT STANDARD WILL BE ONE THAT WILL BE  
2 ACCEPTED MORE GENERALLY SO THAT RESEARCHERS WILL  
3 KNOW THIS IS WHAT I CAN EXPECT AND THIS IS THE BEST  
4 WAY TO GO FORWARD. AND WE HAVE TO BE VERY SENSITIVE  
5 TO THE FACT THAT WE ONLY WANT WHAT WILL MOVE THE  
6 RESEARCH FORWARD MORE EXPEDITIOUSLY. WE DON'T WANT  
7 ANYTHING THAT WILL SLOW IT DOWN, AND SO THAT'S PART  
8 OF DRAWING THAT LINE, DETERMINING WHAT YOU REVEAL  
9 AND WHEN.

10 CHAIRMAN LO: JOHN.

11 DR. WAGNER: SO GOING BACK TO SOME OF THE  
12 DISCUSSION OF TALKING ABOUT WHAT CAN CIRM DO  
13 CONCRETELY. AND I THINK THAT, JUST TO SUMMARIZE A  
14 LITTLE BIT, IS THAT IF I THINK BACK ABOUT THE  
15 COMMENTS BEING MADE, I THINK THAT CERTAINLY HAVING A  
16 CONSENSUS CONFERENCE THAT DEMONSTRATES WHAT BEST  
17 PRACTICES ARE SOUNDS LIKE A PRETTY EASY ONE TO MOVE  
18 FORWARD. AND WHAT YOU WANT TO FOCUS ON, I CAN'T  
19 SAY, BUT CONSENSUS DOES SOUND ALWAYS GOOD.

20 HOWEVER, WHEN I THINK ABOUT WHAT ELSE  
21 COULD YOU FOCUS ON, WHAT YOU MIGHT PUT FUNDING  
22 TOWARDS THAT WOULD HAVE BROAD IMPACT. AND ONE THING  
23 I CAN THINK OF IS THAT WE CAN TALK ABOUT ANIMAL  
24 MODELS FOR TOXICOLOGY. THAT'S SOMETHING THAT  
25 IMPACTS EVERYONE. ALTHOUGH I WOULD LIKE TO INCLUDE

## BARRISTERS' REPORTING SERVICE

1 IN THAT ANIMAL MODELS WITH PROOF OF CONCEPT, THAT'S  
2 GOING TO BE MUCH MORE PROJECT SPECIFIC. AND SO THAT  
3 ONE IS GOING TO BE HARDER TO TACKLE, BUT THE  
4 TOXICOLOGY SHOULD BE BROAD.

5 THE OTHER THING THAT I SEE WE SHOULD ALSO  
6 FOCUS ON WHAT KIND OF GENETIC TESTS AND INFECTIOUS  
7 DISEASE TESTING SHOULD BE DONE. AGAIN, THAT'S BROAD  
8 IMPACT BECAUSE IT'S GOING TO AFFECT EVERY CELL  
9 POPULATION THAT WE'RE GOING TO DEAL WITH. AND WE  
10 HAVE ALREADY GOOD IDEAS AND THAT COULD BE PART OF  
11 COMING OUT OF A CONSENSUS CONFERENCE, BUT THEN THAT  
12 MIGHT LEAD TO RFP'S WITHIN THE CIRM NETWORK TO  
13 SPECIFICALLY ADDRESS THOSE BROAD IMPACTING TYPES OF  
14 THINGS ACROSS THE BOARD.

15 AND THEN LASTLY, I THINK I LIKE THE IDEA  
16 OF MAYBE EVEN FOCUSING A STUDY ON THE CONSENT  
17 PROCESS ITSELF. YOU KNOW, AS YOU SAY, THAT IN PART  
18 WHAT WE'RE DEALING WITH IS A LOT OF MISINFORMATION  
19 AND PURPOSEFUL MISINFORMATION IN THE COMMUNITY. AND  
20 WE CLEARLY HAVE TO IMPROVE UPON THE COMMUNICATIONS.  
21 WE HAD A DISCUSSION YESTERDAY ABOUT HAVING THESE  
22 SORT OF PUBLIC FORA TO DISCUSS WHAT ARE THE  
23 REASONABLE EXPECTATIONS FOR STEM CELL THERAPIES.  
24 BUT IN ADDITION, I THINK THERE WOULD BE A HIGHER  
25 LEVEL OF CONFIDENCE IN THE PROCESS IF WE SAID WE'RE

## BARRISTERS' REPORTING SERVICE

1 EVEN STUDYING HOW TO MAKE THE CONSENT PROCESS MORE  
2 APPROPRIATE.

3 YOU GAVE US A WHOLE LIST OF REASONS WHY  
4 THAT WOULD BE A GOOD THING. AND I THINK THAT NOT  
5 ONLY WILL BE SOMETHING EXTRAORDINARILY POSITIVE FOR  
6 THE PUBLIC WITHIN CALIFORNIA. IT HAS INTERNATIONAL,  
7 BUT AT LEAST NATIONAL IMPLICATIONS THAT WE HAVEN'T  
8 ADDRESSED PROBABLY FOR A FEW DECADES IN A VERY  
9 SYSTEMATICALLY SCIENTIFIC WAY.

10 DR. ROBERTS: I JUST WANTED TO PICK UP ON  
11 THE CONSENSUS CONFERENCE AND POINT OUT THAT THERE  
12 SEEMS LIKE THERE ARE A COUPLE THINGS THAT THAT  
13 CONFERENCE COULD DO. ONE IS TO COME UP WITH SOME  
14 CONSENSUS OF BEST PRACTICES IN TERMS OF THE  
15 PROTECTION OF HUMAN SUBJECTS IN CLINICAL TRIALS AND  
16 ALSO EDUCATING THE PUBLIC ABOUT WHAT TO EXPECT FROM  
17 STEM CELL RESEARCH. IT SEEMS LIKE A LOT OF -- A KEY  
18 ELEMENT OF CONSENT AND OTHER ISSUES WE'VE BEEN  
19 TALKING ABOUT, ETHICAL ISSUES RELATED TO CLINICAL  
20 TRIALS, IS THE PUBLIC'S UNDERSTANDING OF WHAT WILL  
21 COME OUT OF THESE TRIALS. IN OTHER WORDS, HUMAN  
22 SUBJECTS COME TO THIS WITH AN UNDERSTANDING ABOUT  
23 WHAT TO EXPECT FROM THE TRIALS THAT IS A CRITICAL  
24 COMPONENT OF THE CONSENT PROCESS.

25 BUT THEN THERE ALSO AT THIS CONSENSUS



**BARRISTERS' REPORTING SERVICE**

1 CONFERENCE COULD BE A DISCUSSION ABOUT THE ISSUES OF  
2 PROPRIETARY INFORMATION AND SECRECY VERSUS  
3 TRANSPARENCY. IT SEEMS LIKE WE'RE AT A STATE NOW  
4 WHERE THE RESEARCHERS ARE CONCERNED ABOUT  
5 COMPETITIVE ADVANTAGE AND WHO'S GOING TO REVEAL MORE  
6 THAN OTHERS. AND MAYBE IF A DISCUSSION AMONG THE  
7 RESEARCHERS OF WHAT WOULD BE -- YOU DON'T THINK THAT  
8 WILL WORK, PAT. I DON'T KNOW. AT LEAST -- I  
9 UNDERSTAND THERE ARE COMMERCIAL CONSIDERATIONS THAT  
10 PERHAPS NONE OF US IS GOING TO BE ABLE TO OVERCOME  
11 THAT'S THE BOTTOM LINE OF ALL OF THIS, AND MAYBE  
12 IT'S HOPELESS. BUT AT LEAST I'M THINKING THAT AT  
13 LEAST GETTING THE RESEARCHERS TO DISCUSS WHAT THOSE  
14 ARE, THEY'RE BETTER ABLE TO TELL US THAN WE CAN  
15 FIGURE OUT WHAT ARE THE CONCERNS AND PERHAPS SOME  
16 KIND OF DISCUSSION AMONG THE SCIENTISTS THEMSELVES  
17 OF WHAT MIGHT BE A WAY TO ENCOURAGE MORE  
18 TRANSPARENCY WOULD BE MORE USEFUL THAN US TRYING TO  
19 FIGURE OUT INCENTIVES WITHOUT THEIR INPUT.

20 DR. CSETE: SO LET ME JUST RESPOND.  
21 AGAIN, THIS IS IN THE SPIRIT OF US INFORMING YOU OF  
22 WHAT WE ARE DOING --

23 DR. ROBERTS: YES.

24 DR. CSETE: -- SO THAT I CAN UNDERSTAND  
25 MORE WHAT THE BEYOND MEANS WHEN BERNIE SAYS BEYOND.

## BARRISTERS' REPORTING SERVICE

1 WE HAVE A CONFERENCE GRANT MECHANISM FOR DEVELOPING  
2 A CONSENSUS CONFERENCE THAT'S OUT THERE ALREADY. IN  
3 TERMS OF JOHN'S SUGGESTIONS ABOUT ANIMAL MODELS, WE  
4 DID HAVE A TOXICOLOGY WORKSHOP, EVALUATED THE STATE  
5 OF THE ART. WE ARE FUNDING SEVERAL GROUPS WHO ARE  
6 DEVELOPING EMBRYONIC STEM CELL-BASED CELLS THAT WILL  
7 BE, WE HOPE, BETTER TOXICOLOGY MODELS THAN ANIMALS  
8 THEMSELVES.

9 WE HAVE CALLED FOR IN SEVERAL OF OUR  
10 RECENT GRANTS NEW ANIMAL MODELS OF DISEASE, BUT THEY  
11 JUST DON'T HAPPEN. WE DIDN'T GET APPLICATIONS IN  
12 THAT AREA. I THINK IT'S JUST BECAUSE PEOPLE ARE  
13 USING WHAT'S OPTIMAL, AND VERY OFTEN THE NEW ANIMAL  
14 MODELS APPEAR AS A SPONTANEOUS MUTATION OR SOMETHING  
15 LIKE THAT, AND IT WAS VERY DIFFICULT FOR  
16 REVIEWERS -- FOR OUR APPLICANTS TO COME UP WITH A  
17 WAY TO DEVELOP NEW ANIMAL MODELS.

18 DR. WAGNER: EXCEPT THAT, JUST A COMMENT  
19 THOUGH, IS THAT PEOPLE ARE USING THEM ALL THE TIME.  
20 SO THEY MAY NOT HAVE COME UP WITH A NEW ANIMAL  
21 MODEL, BUT PEOPLE ALREADY HAVE TO BE USING ANIMAL  
22 MODELS FOR WHICH TO DEVELOP PROOF OF CONCEPT. SO  
23 THERE'S A DISCONNECT BECAUSE THEY'RE NOT COMING UP  
24 WITH -- IT SOUNDS LIKE THEY USE THEM, BUT THEY DON'T  
25 WANT TO SPEND THEIR TIME DEVELOPING SORT OF BEST

## BARRISTERS' REPORTING SERVICE

1 PRACTICE ANIMAL MODELS, SO TO SPEAK. SO THERE'S  
2 GOING TO BE -- THERE'S A DISCONNECT BETWEEN HAVING  
3 AN RFP AND NOT RESPONDING AND THE FACT THAT THE  
4 COMMUNITY USES THEM.

5 DR. CSETE: OKAY. SO WHAT'S YOUR  
6 SUGGESTION FOR WHAT WE SHOULD DO WITH THAT?

7 DR. WAGNER: ACTUALLY I'M JUST RESPONDING  
8 TO YOUR COMMENT THAT NO ONE RESPONDED. FIRST OFF, I  
9 THINK IT'S GREAT THAT YOU'VE TRIED, BUT I CAN'T TELL  
10 YOU EXACTLY BECAUSE I DON'T KNOW HOW THE RFP WAS  
11 WORDED. IS THERE SOMETHING THAT WAS NOT  
12 COMMUNICATED OR NOT DESIRED, OR WHY IS IT THAT  
13 PEOPLE AREN'T RESPONDING? BUT THAT'S -- THAT'S  
14 PROBABLY A TOPIC FOR A DIFFERENT CONVERSATION.

15 DR. CSETE: AND THE OTHER THING IS THAT  
16 ISSUE OF SECRECY. AND I THINK IT'S VERY IMPORTANT  
17 TO DISTINGUISH SECRECY FROM CONFIDENTIALITY.  
18 CONFIDENTIALITY HAS ITS BASIS IN THE NEEDS OF  
19 SCIENTISTS AND IN INDUSTRY TO PROTECT ITS  
20 INTELLECTUAL PROPERTY AS WE HAVE TALKED ABOUT. AND  
21 WE ARE ADDRESSING THAT IN SOME WAYS BY REALLY TRYING  
22 TO FORCE THE DISEASE TEAMS TO BE MULTIDISCIPLINARY  
23 AND TO FORCE A DIALOGUE BETWEEN THOSE WHO ARE  
24 OPTIMIZING A PRODUCT FOR COMMERCIALIZATION AND THOSE  
25 WHO ARE COMMERCIALIZING IT. I THINK THAT -- AND

## BARRISTERS' REPORTING SERVICE

1 BEYOND THAT, AGAIN, THERE MAY BE SOME BETTER WAYS TO  
2 ENCOURAGE THE FLOW OF INFORMATION.

3 THOSE ARE THE THINGS WE'RE DOING SO THAT  
4 YOU CAN UNDERSTAND THAT IT'S NOT BECAUSE OF LACK OF  
5 EFFORT, BUT WE NEED HELP ON WHAT THE NEXT STEPS ARE.

6 CHAIRMAN LO: JEFF, LET ME GIVE YOU THE  
7 LAST COMMENT, AND THEN I WANT TO SORT OF MOVE ON  
8 BECAUSE WE HAVE OTHER SPEAKERS THAT WILL PICK UP ON  
9 SOME OF THESE THEMES.

10 MR. SHEEHY: I REALLY THINK, AT LEAST IN  
11 TERMS OF PUBLISHING OR MAKING AVAILABLE NEGATIVE  
12 RESULTS, WE'RE PROBABLY GOING TO HAVE TO RELY ON  
13 SOME SORT OF COMPULSION AS A CONDITION OF FUNDING.  
14 BECAUSE ONE OF THE FIRST THINGS I DID WHEN I CAME  
15 INTO MY JOB WAS HANDLE A SITUATION WHERE NEGATIVE  
16 RESULTS WERE UNPUBLISHABLE BECAUSE A COMPANY REFUSED  
17 TO ALLOW THE PI TO GET ACCESS TO ALL THE DIFFERENT  
18 TRIAL SITES. SO EVEN THE STRONG WILLINGNESS OF A PI  
19 TO REVEAL THE RESULTS, AND, IN FACT, THAT PRODUCT  
20 CONTINUED TO BE TESTED IN ANOTHER SETTING EVEN AFTER  
21 THEY HAD THE NEGATIVE RESULTS HERE AND REFUSED TO  
22 SHARE AND PUBLISH, THEY CONTINUED ON WITH THE  
23 CLINICAL TRIAL OF THAT PRODUCT IN A DIFFERENT  
24 SETTING.

25 SO I DO THINK -- AND THAT WAS SEVERAL

## BARRISTERS' REPORTING SERVICE

1 YEARS AGO, AND HERE WE ARE STILL TALKING ABOUT IT.  
2 I THINK IT WOULD BE A GOOD STANDARD FOR US TO AS  
3 MUCH AS POSSIBLE INCLUDE IN OUR GRANT AWARD THE  
4 COMPULSION TO PUBLISH NEGATIVE RESULTS. I DON'T  
5 THINK POSITIVE RESULTS WHICH ARE REALLY WHERE PEOPLE  
6 ARE CONCERNED IN TERMS OF INTELLECTUAL PROPERTY AND  
7 CONFIDENTIALITY, ETC. WE NEED TO WORRY ABOUT. IF  
8 THEY HAVE A POSITIVE RESULT, I THINK THAT THAT WILL  
9 COME OUT EVENTUALLY BECAUSE THAT'S OBVIOUSLY  
10 SOMETHING THEY'RE GOING TO CONTINUE DOWN THE ROAD  
11 WITH.

12 BUT THE NEGATIVE RESULTS, I THINK, WHERE  
13 WE ARE RUNNING THE RISK OF PEOPLE HAVING TO  
14 PARTICIPATE IN AN EXPERIMENT THAT WE ALREADY KNOW  
15 DOES NOT WORK IS SOMETHING THAT WE SHOULD BE REALLY  
16 FORCEFUL ON, I THINK.

17 CHAIRMAN LO: AGAIN, AS A POINT OF  
18 INFORMATION ON WHAT JEFF RAISED, THIS WAS A CLINICAL  
19 TRIAL AT UCSF. AND AS A RESULT OF THAT TRIAL AND  
20 TWO OTHER CLINICAL TRIALS, THE UNIVERSITY SET A  
21 POLICY THAT A CONDITION OF SIGNING A RESEARCH  
22 CONTRACT OR GRANT INCLUDED THE RIGHT OF THE  
23 INVESTIGATOR TO PUBLISH SUBJECT TO NOTIFYING THE  
24 SPONSOR AND ALSO GIVING THE SPONSOR, I THINK IT'S, A  
25 TWO-MONTH DELAY IF THEY REQUEST IT TO FILE A PATENT.

## BARRISTERS' REPORTING SERVICE

1 SO THERE'S AN ATTEMPT MADE TO BALANCE THE  
2 INTELLECTUAL PROPERTY RIGHTS OF THE INTERESTS OF THE  
3 COMPANY VERSUS THE INVESTIGATOR AND ULTIMATELY THE  
4 PUBLIC'S INTEREST IN KNOWING THE RESULT OF THE  
5 TRIAL. I'LL GIVE YOU THE LAST WORD.

6 DR. CHARO: LOOK WHAT YOU STARTED.

7 DR. KALICHMAN: I JUST WANT TO COME BACK  
8 TO ALMOST EVERYTHING WE'VE DISCUSSED HAS FOCUSED ON  
9 THE IDEA THAT WE NEED TO FIND WAYS TO GAIN CONSENSUS  
10 IS AN IMPORTANT ISSUE. AND THE IDEA OF A CONSENSUS  
11 CONFERENCE, THE CONFERENCE GRANTS IS POTENTIALLY A  
12 GREAT MECHANISM, BUT I KNOW FIRSTHAND THAT THERE ARE  
13 REPRESENTATIVES OF THREE INSTITUTIONS HERE TODAY WHO  
14 ARE PLANNING TO APPLY FOR ONE OF THESE CONFERENCE  
15 GRANTS WITH THE IDEA OF TRYING TO GET CONSENSUS ON  
16 SOME OF THE KEY ISSUES FACED BY AT LEAST THE ESCRO  
17 AN SCRO COMMITTEES IN THEIR REVIEW PROCESSES.

18 THAT IDEA HAS KIND OF SLOWED DOWN BECAUSE  
19 IT'S OCCURRING TO ME TODAY, AS I'M LISTENING TO THE  
20 DISCUSSION, THAT THE REASON IS THAT THIS IS REALLY  
21 SOMETHING THAT THOSE CONFERENCES SHOULD BE CONVENED  
22 BY CIRM, NOT DRIVEN BY, I WOULD ARGUE, FROM WHAT I'M  
23 HEARING TODAY, NOT DRIVEN BY AN INDIVIDUAL  
24 INSTITUTION SAYING WE'RE GOING TO PUT IN THE MONEY  
25 TO BE ABLE TO MATCH WHAT CIRM WILL PROVIDE, BUT

**BARRISTERS' REPORTING SERVICE**

1 INSTEAD CIRM SHOULD SAY WE'RE GOING TO CONVENE THOSE  
2 DISCUSSIONS.

3 SO I WOULD ARGUE STRONGLY THAT ALL OF THE  
4 CONFERENCE GRANTS MAY HAVE CERTAIN PURPOSES. THIS  
5 MAY NOT BE ONE OF THEM, BUT THAT'S SOMETHING WE CAN  
6 DISCUSS MORE.

7 CHAIRMAN LO: OKAY. THANKS VERY MUCH. I  
8 WANT TO SORT OF MOVE US ALONG. I PROMISED A  
9 CHECK-OUT BREAK AT ELEVEN. SO STEVE PECKMAN IS THE  
10 GOING TO BE THE NEXT SPEAKER. AND, STEVE, WHAT WE  
11 MIGHT DO IS DO TWO-THIRDS OF YOUR TALK AND THEN HAVE  
12 A BREAK.

13 SO STEVE IS THE ASSOCIATE DIRECTOR OF THE  
14 UCLA SO-AND-SO STEM CELL RESEARCH INSTITUTE. I  
15 DON'T KNOW WHO YOUR DONOR IS.

16 DR. PECKMAN: ELI AND EDYTHE BROAD, THE  
17 CENTER OF REGENERATIVE MEDICINE AND STEM CELL  
18 RESEARCH.

19 CHAIRMAN LO: OH, GREAT.

20 DR. PECKMAN: OTHERWISE KNOWN AS  
21 SO-AND-SO.

22 CHAIRMAN LO: I DIDN'T WANT TO PRETEND  
23 THERE WASN'T SOMEONE. I DIDN'T WANT TO GIVE CREDIT  
24 TO THE WRONG PERSON. BUT THE BROAD FAMILY HAS BEEN  
25 VERY GENEROUS IN SUPPORTING STEM CELL RESEARCH IN

## BARRISTERS' REPORTING SERVICE

1 CALI FORNIA.

2 DR. PECKMAN: I'D LIKE TO FOLLOW UP ON THE  
3 UNIVERSITY POLICY REGARDING CONTRACT NEGOTIATION.

4 ACTUALLY APPLIES TO THE ENTIRE UNIVERSITY OF  
5 CALI FORNIA. THERE ISN'T A CAMPUS WITHIN THE SYSTEM  
6 THAT IS ALLOWED TO NEGOTIATE A CONTRACT THAT LIMITS  
7 ACADEMIC FREEDOM AND THE PUBLICATION AND  
8 DISSEMINATION OF DATA. I DON'T KNOW IF THAT HOLDS  
9 FOR THE PRIVATE UNIVERSITIES, BUT IT CERTAINLY IS  
10 FOR THE UNIVERSITY OF CALI FORNIA.

11 CHAIRMAN LO: SO STEVE HAS BEEN MANAGING  
12 THE SCRO, AND BEFORE THAT HE SPENT A NUMBER OF YEARS  
13 WITH THE IRB AT UCLA AS THE ADMINISTRATOR THERE, SO  
14 HE BRINGS A BACKGROUND FROM BOTH THE IRB WORLD AND  
15 THE SCRO WORLD AND A LOT OF EDUCATION OF PEOPLE ON  
16 RESEARCH ETHICS. STEVE HAS A NUMBER OF TOPICS, AND  
17 WHAT HE'S GOING TO DO IS TO PRESENT SOME  
18 INFORMATION, GIVE US TIME TO ANSWER QUESTIONS,  
19 COMMENT, AND THEN TAKE THE SECOND CHUNK AND THE  
20 THIRD CHUNK. STEVE.

21 DR. PECKMAN: THANK YOU, BERNIE. ACTUALLY  
22 I WAS IN CHARGE OF THE UCLA IRB PROGRAM FOR 365  
23 YEARS IN DOG YEARS. SO I'M GOING TO TALK ABOUT THE  
24 INSTITUTIONAL IMPLEMENTATION OF A LOT OF THINGS THAT  
25 WE'VE BEEN TALKING ABOUT YESTERDAY AND TODAY. AND I



## BARRISTERS' REPORTING SERVICE

1 WANT TO THANK MICHAEL FOR HIS EXCELLENT PRESENTATION  
2 BECAUSE IT LEADS INTO A LOT OF WHAT I'M GOING TO  
3 DISCUSS.

4 SO TO OUTLINE WHAT I'M GOING TO TALK ABOUT  
5 IS, FIRST, DO WE NEED TO REINVENT THE WHEEL?  
6 THERE'S AN INCREDIBLE WELL-ESTABLISHED, EFFECTIVE,  
7 ROBUST CLINICAL RESEARCH REVIEW SYSTEM IN PLACE THAT  
8 ACTUALLY MAY EVEN PREDATE SOME OF THE BIRTHS OF  
9 PEOPLE IN THIS ROOM. SO I THINK WE NEED TO THINK  
10 ABOUT THIS IN TERMS OF WHAT IS IN EXISTENCE AND  
11 MAYBE WHAT WE NEED TO STRONGLY SUGGEST PEOPLE DO  
12 THAT THEY MAY NOT BE DOING THAT THEY SHOULD BE DOING  
13 AND WAYS TO AUGMENT THAT. THE SYSTEM EXISTS THROUGH  
14 REGULATIONS FROM THE DEPARTMENT OF HEALTH AND HUMAN  
15 SERVICES, OFFICE FOR HUMAN RESEARCH PROTECTIONS, THE  
16 FDA, WHICH WE'VE HEARD QUITE A LOT ABOUT OVER THE  
17 LAST TWO DAYS, AND CALIFORNIA ACTUALLY HAS THE  
18 CALIFORNIA MEDICAL EXPERIMENTATION ACT THAT HAS  
19 EXPLICIT STATE LAWS REGARDING DOING CLINICAL  
20 RESEARCH. AND THEN ALSO ACADEMIC MEDICAL CENTERS  
21 THAT SPECIFICALLY HAVE COMPREHENSIVE CANCER CENTERS  
22 ALSO REQUIRE SCIENTIFIC REVIEW AND MONITORING  
23 COMMITTEES OF HUMAN SUBJECTS RESEARCH.

24 SO I'M GOING TO FOCUS A LOT ON MY PREVIOUS  
25 JOB, WHICH IS WHAT THE INSTITUTIONAL REVIEW BOARD OR

## BARRISTERS' REPORTING SERVICE

1 THE IRB REVIEW IS ABOUT, AND HOW IT ADDRESSES A LOT  
2 OF THE QUESTIONS WE'VE BEEN TALKING ABOUT. AND I'LL  
3 TOUCH UPON WHAT IS AN IRB MADE UP OF, WHO ARE THOSE  
4 PEOPLE, WHAT ARE THEIR EXPERTISE, HOW THEY PERFORM A  
5 RISK-BENEFIT CALCULATION, HOW THEY DETERMINE SUBJECT  
6 SELECTION, INFORMED CONSENT, A DISCUSSION OF  
7 INVESTIGATOR CONFLICT OF INTEREST, WHAT WE DO ABOUT  
8 INJURED RESEARCH SUBJECTS, WHICH MAY BE AN IMPORTANT  
9 ELEMENT OF STEM CELL RESEARCH, AND HOW WE ACCOMPLISH  
10 CONTINUING REVIEW OR THE ONGOING MONITORING OF THIS  
11 RESEARCH. AND THEN, FINALLY, I'D LIKE TO HIT UPON  
12 NAVIGATING OLD AND NEW COMPLIANCE COMMITTEES, AND  
13 WHERE ACTUALLY DOES A SCRO FIT IN IN THIS  
14 CALCULATION IN THAT SCRO'S WERE DEVELOPED ORIGINALLY  
15 BY THE NAS, LATER ADOPTED BY CIRM, BUT SPECIFICALLY  
16 FOCUSED ON LABORATORY RESEARCH AND PRECLINICAL  
17 RESEARCH. AND ACTUALLY THE COMPOSITION AND THE  
18 CONCEPTUALIZATION OF SCRO'S REALLY DO TAKE INTO  
19 ACCOUNT CLINICAL RESEARCH.

20 SO THE INSTITUTIONAL COMPLIANCE COMMITTEES  
21 THAT ARE GOING TO BE INVOLVED IN THIS CLINICAL  
22 RESEARCH INCLUDE THE IRB, THE IACUC, WHICH WE TALKED  
23 ABOUT YESTERDAY, THE INSTITUTIONAL BIOSAFETY  
24 COMMITTEE, THE MEDICAL RADIATION SAFETY COMMITTEE.  
25 MANY OF THE TRIALS THAT ARE GOING TO HAPPEN WITH

## BARRISTERS' REPORTING SERVICE

1 CELLS ARE GOING TO REQUIRE SOME KIND OF TRACKING  
2 THROUGH RADIOACTIVE PROCESSES LIKE PET SCANS, WHICH  
3 WOULD THEN REQUIRE A RADIATION COMMITTEE TO REVIEW  
4 THE PROJECT AS WELL.

5 AS I INDICATED PREVIOUSLY, THE SCIENTIFIC  
6 PEER REVIEW COMMITTEE, MANY CAMPUSES HAVE GENE  
7 MEDICINE COMMITTEES FOR THOSE PROJECTS THAT WILL  
8 REQUIRE GENETIC MANIPULATION OF CELLS, AND THEN  
9 THERE'S ALSO CONFLICT OF INTEREST COMMITTEES, BUT  
10 I'M GOING TO SPECIFICALLY TALK ABOUT A CONFLICT OF  
11 INTEREST THAT THOSE COMMITTEES DON'T ADDRESS. AND  
12 THEN FINALLY, OUR EVER FAITHFUL AND NEW STEM CELL  
13 RESEARCH OVERSIGHT COMMITTEES.

14 SO IRB'S OPERATE UNDER THREE ETHICAL  
15 PRINCIPLES THAT WERE PROMULGATED IN THE 1970S AS A  
16 RESULT OF THE NATIONAL RESEARCH ACT THAT WAS PUT  
17 FORTH BY PRESIDENT RICHARD NIXON. AND THOSE ETHICAL  
18 PRINCIPLES INCLUDE BENEFICENCE, JUSTICE, AND RESPECT  
19 FOR PERSONS. AND THIS IS THE ORDER IN WHICH I'M  
20 GOING TO COVER THEM.

21 BENEFICENCE IS WEIGHING THE RISKS AND  
22 BENEFITS OF RESEARCH. JUSTICE IS THE EQUAL  
23 DISTRIBUTION OF THOSE RISKS AND BENEFITS ACROSS  
24 PATIENT POPULATIONS OR OUR POPULATION IN GENERAL.  
25 AND FINALLY, RESPECT FOR PERSONS, WHICH IS A CONCEPT

## BARRISTERS' REPORTING SERVICE

1 OF THE DIGNITY AND AUTONOMY OF INDIVIDUAL PEOPLE.

2 FIRST, IRB REVIEW. WHO IS THE IRB? WELL,  
3 BY REGULATION THE IRB IS REQUIRED TO BE SUFFICIENTLY  
4 QUALIFIED THROUGH THEIR EXPERIENCE AND EXPERTISE AND  
5 DIVERSITY TO SAFEGUARD THE RIGHTS AND WELFARE OF  
6 SUBJECTS, WHICH IMPLICITLY MEANS THAT THEY HAVE TO  
7 HAVE SUFFICIENT SCIENTIFIC EXPERTISE AND KNOWLEDGE  
8 OF THE PATIENT POPULATION IN ORDER TO REVIEW THE  
9 RESEARCH. THEY HAVE TO HAVE PROFESSIONAL COMPETENCE  
10 TO REVIEW AND ASSESS THE RESEARCH IN TERMS OF  
11 INSTITUTIONAL COMMITMENTS, SUCH AS THOSE CONTRACT  
12 REQUIREMENTS THAT DATA BE MADE AVAILABLE,  
13 REGULATIONS AND APPLICABLE LAW THAT I TOUCHED UPON  
14 EARLIER, AND STANDARDS OF PROFESSIONAL CONDUCT AND  
15 PRACTICE.

16 THEY'RE REQUIRED TO ENSURE THE EFFECTIVE  
17 SELECTION OF SUBJECTS. THEY HAVE TO ASSESS THE  
18 APPROPRIATE SELECTION. AS WE TALKED ABOUT  
19 YESTERDAY, DO WE INCLUDE PATIENTS IN CLINICAL TRIALS  
20 IN PHASE I OR NONPATIENTS, HEALTHY VOLUNTEERS? IF  
21 WE'RE INCLUDING PATIENTS, THERE'S A PATIENT-PATIENT  
22 CALCULUS THAT HAS TO BE PERFORMED. DO WE INCLUDE  
23 OLDER PATIENTS OR YOUNGER PATIENTS? ADULTS OR  
24 MINORS? EARLIER OR LATER DISEASE? PEOPLE WHO HAVE  
25 RECEIVED STANDARD OF CARE OR PEOPLE WHO ARE

## BARRISTERS' REPORTING SERVICE

1 TREATMENT NAIVE? ALL OF THESE THINGS NEED TO BE  
2 ASSESSED BY THE IRB.

3 THEY' RE GOING TO PERFORM A RISK-BENEFIT  
4 CALCULATION. THE IDEA OF THIS RISK-BENEFIT  
5 CALCULATION, OF COURSE, PROBABLY COULD GO ALL THE  
6 WAY BACK TO HIPPOCRATES AND THE IDEA OF DO NO HARM.  
7 BUT IF WE ACTUALLY APPLIED DO NO HARM TO CLINICAL  
8 RESEARCH, WE WOULDN' T BE DOING ANY CLINICAL  
9 RESEARCH.

10 SO AFTER WORLD WAR II THERE WAS THE  
11 DEVELOPMENT OF THE NUREMBERG CODE WHICH HAD EXPLICIT  
12 CONCEPTS FOR RISK-BENEFIT CALCULATION. FOLLOWING  
13 THAT IN THE 1970S THE BELMONT REPORT WITH ADDITIONAL  
14 ELEMENTS OF RISK-BENEFIT CALCULATION, INCLUDING THE  
15 CONCEPT THAT RISK MAY INCLUDE HARM, PSYCHOLOGICAL,  
16 PHYSICAL, SOCIAL, LEGAL, OR ECONOMIC. THE IRB IS  
17 RESPONSIBLE FOR ASSESSING THOSE RISKS AND MINIMIZING  
18 THOSE RISKS WHERE POSSIBLE WHILE MAXIMIZING  
19 BENEFITS.

20 THEY ALSO HAVE TO ENSURE AND INSIST UPON  
21 JUSTIFICATION OF THE RISK AND POSSIBLE BENEFITS TO  
22 THE SUBJECTS THROUGH THE PERFORMANCE OF RESEARCH  
23 THAT IS VALID, THAT THEY' RE GOING TO COME UP WITH A  
24 VALID RESEARCH QUESTION THAT WILL RESULT IN SOME  
25 KNOWLEDGE THAT WILL BENEFIT HUMANITY.

## BARRISTERS' REPORTING SERVICE

1 THE DECLARATION OF HELSINKI FOLLOWED, AND  
2 IT NOTED EXPLICITLY THAT WE SHOULD CEASE RESEARCH IF  
3 RISKS ARE FOUND TO OUTWEIGH POTENTIAL BENEFITS OR  
4 CONCLUSIVE APPROVE OF A POSITIVE OR NEGATIVE RESULT.  
5 AND THIS IS WHERE ONGOING MONITORING OF THE RESEARCH  
6 IS CRUCIAL.

7 SO WHAT ARE THE FEDERAL REGULATIONS?  
8 RESEARCH MAY BE JUSTIFIED IF THE RISKS ARE  
9 REASONABLE IN RELATION TO ANTICIPATED BENEFITS TO  
10 SUBJECTS AND THE IMPORTANCE OF THE KNOWLEDGE  
11 REASONABLY EXPECTED TO RESULT. THE EVALUATION, ONLY  
12 THOSE RISKS AND BENEFITS THAT RESULT FROM THE  
13 RESEARCH SHOULD BE EVALUATED. AND THEY SHOULD NOT  
14 INCLUDE STANDARD THERAPIES THAT SUBJECTS WOULD  
15 OTHERWISE RECEIVE.

16 AND WHAT ARE THE TOOLS OF REVIEW? AMIDST  
17 ALL THE SECRECY THAT WE'VE BEEN TALKING ABOUT,  
18 ACTUALLY THE IRB LIFTS THAT CLOAK OF SECRECY.  
19 BECAUSE IN ORDER TO DO A CLINICAL TRIAL WITH A DRUG  
20 DEVICE OR BIOLOGIC, THE MANUFACTURER WHO WANTS TO  
21 PERFORM THE TRIAL OR THE INVESTIGATOR MUST PROVIDE  
22 AN INVESTIGATOR'S BROCHURE WHICH ACTUALLY INCLUDES  
23 ALL THAT TOP SECRET SECRET SAUCE INFORMATION. THE  
24 IRB KNOWS WHAT'S IN THE SECRET SAUCE AND THEY KNOW  
25 HOW YOU MADE THE SECRET SAUCE AND HOW YOU GOT THERE.

## BARRISTERS' REPORTING SERVICE

1 SO THERE HAS TO BE SUFFICIENT PRECLINICAL  
2 STUDIES IN RELEVANT ANIMAL MODELS, AND THERE SHOULD  
3 BE MANUFACTURER AND POTENCY OF CELL PRODUCT  
4 INFORMATION IN ORDER FOR THE IRB TO ACTUALLY ASSESS  
5 THIS INFORMATION, AND THERE SHOULD BE CONTINUING  
6 REVIEW, WHICH I'LL TALK A LOT MORE ABOUT LATER.

7 AND THEN THERE ARE FEDERAL GUIDELINES  
8 REGARDING DATA AND SAFETY MONITORING PLANS, WHICH I  
9 WILL ALSO TALK ABOUT LATER. ALL OF THOSE GO INTO  
10 ACTUALLY ASSESSING THE RISKS AND BENEFITS OF  
11 RESEARCH AND PROTECTING HUMAN SUBJECTS.

12 SO ONE OF THE PRIMARY ROLES, OF COURSE,  
13 THAT WE'RE GOING TO HAVE TO ADDRESS IS MANAGING THE  
14 EXPECTATIONS OF OUR PUBLIC, THOSE WONDERFUL PEOPLE  
15 WHO VOTED FOR PROP 71 AND THOSE WHO DIDN'T, EVERYONE  
16 WHO MAY BE IMPACTED BY THIS RESEARCH.

17 NOW, OF COURSE, *TIME MAGAZINE* IN 2006  
18 WROTE ABOUT THE HYPE AND THE HYPE ALONG WITH MANY  
19 OTHER MAGAZINES AND WHAT IT MEANS FOR THE PUBLIC.  
20 WE'VE COME A LONG WAY IN THREE YEARS. NOW *TIME*  
21 *MAGAZINE* IS TALKING ABOUT STEM CELLS SAVING OUR  
22 LIVES. SO IRB'S ARE ALSO RESPONSIBLE FOR MANAGING  
23 THESE EXPECTATIONS, AND THEY'RE GOING TO MANAGE  
24 THESE EXPECTATIONS THROUGH THE SELECTION,  
25 APPROPRIATE SELECTION, OF SUBJECTS AND ACCURATE,

## BARRISTERS' REPORTING SERVICE

1 INFORMATIVE, AND UNDERSTANDABLE INFORMED CONSENT.

2 SO INFORMED CONSENT, IT'S RESPECT FOR A  
3 PERSON'S DIGNITY AND AUTONOMY. THE NUREMBERG CODE  
4 SAYS THAT THE VOLUNTARY CONSENT OF THE HUMAN SUBJECT  
5 IS ABSOLUTELY ESSENTIAL. IN FACT, IN OUR CURRENT  
6 AGE IT'S ASSUMED THAT PEOPLE WILL GIVE INFORMED  
7 CONSENT FOR PARTICIPATION IN CLINICAL RESEARCH. THE  
8 BELMONT REPORT TOLD US THAT WE NEED TO TREAT  
9 INDIVIDUALS AS AUTONOMOUS AGENTS, AND PERSONS WITH  
10 DIMINISHED AUTONOMY ARE ENTITLED TO EXTRA  
11 PROTECTION.

12 AUTONOMY IS AN INTERESTING QUESTION  
13 THOUGH, ESPECIALLY IN THE STATE OF CALIFORNIA. AND  
14 I'LL TALK ABOUT THE DIVERSITY OF THE STATE AND HOW  
15 IRB'S HAVE TO ADDRESS THAT DIVERSITY.

16 THE FEDERAL REGULATIONS STATE THAT NO  
17 INVESTIGATOR MAY INVOLVE A HUMAN BEING AS A SUBJECT  
18 IN RESEARCH COVERED BY THESE REGULATIONS UNLESS THE  
19 INVESTIGATOR HAS OBTAINED THE LEGALLY EFFECTIVE  
20 INFORMED CONSENT OF THE SUBJECT OR THE SUBJECT'S  
21 LEGALLY AUTHORIZED REPRESENTATIVE. AND THE  
22 INFORMATION THAT'S GIVEN TO THE SUBJECT OR THE  
23 REPRESENTATIVE SHALL BE IN A LANGUAGE UNDERSTANDABLE  
24 TO THE SUBJECT. SO I'M GOING TO TOUCH UPON NOW WHAT  
25 IS LEGALLY EFFECTIVE INFORMED CONSENT AND WHAT DOES



## BARRISTERS' REPORTING SERVICE

1 IT MEAN TO BE IN A LANGUAGE THAT'S UNDERSTANDABLE TO  
2 THE SUBJECT. THESE ARE ALL IMPORTANT ISSUES THAT  
3 HAVE TO BE PART OF THE CALCULATION OF THE CONDUCT OF  
4 RESEARCH.

5 SO THESE ARE THE LEGALLY EFFECTIVE  
6 ELEMENTS OF INFORMED CONSENT. I'M SURE YOU'RE VERY  
7 FAMILIAR WITH THEM. THEY'RE ALL VERY REASONABLE  
8 THINGS THAT ANY REASONABLE PERSON WOULD WANT TO BE  
9 TOLD BEFORE THEY SUBJECT THEMSELVES TO AN  
10 EXPERIMENT. AND THESE ARE ALL IN THE FEDERAL  
11 REGULATIONS AND APPROPRIATE BY THE CALIFORNIA  
12 MEDICAL EXPERIMENTATION ACT. THEY'RE ALSO REFERRED  
13 TO WITHIN CIRM'S REGULATIONS AS WELL.

14 SO WHEN WE TALK ABOUT INFORMED CONSENT OR  
15 ASSENT FOR MINORS OR OTHERS WHO AREN'T CAPABLE OF  
16 GIVING INFORMED CONSENT, WE'RE TALKING ABOUT MORE  
17 THAN A DOCUMENT. WE'RE TALKING ABOUT MORE THAN A  
18 CONTRACT OR A PIECE OF PAPER. WHAT WE'RE TALKING  
19 ABOUT IS A PROCESS OF COMMUNICATION. WE'RE TALKING  
20 ABOUT A PROCESS OF COMMUNICATION THAT BEGINS WITH  
21 THE IDENTIFICATION OF POTENTIAL SUBJECTS, HOW WE  
22 ADVERTISE FOR THEM, HOW WE RECRUIT THEM, AND HOW WE  
23 CREATE AN ENVIRONMENT FOR A DIALOGUE ABOUT WHAT IT  
24 MEANS TO PARTICIPATE IN RESEARCH IN GENERAL AND A  
25 SPECIFIC PROJECT.

## BARRISTERS' REPORTING SERVICE

1 HAS TO ENSURE COMPREHENSION THAT EMPOWERS  
2 THE SUBJECT'S KNOWLEDGEABLE DECISION-MAKING. WHAT  
3 IS THAT KNOWLEDGEABLE DECISION-MAKING? YOU DO NOT  
4 HAVE AUTONOMY, AND YOUR DIGNITY IS TAKEN AWAY. AND  
5 FUNDAMENTALLY IT HAS TO ENSURE VOLUNTARINESS.

6 SO WHAT IS THE CONTEXT OF CONSENT? AS  
7 MCPHERSON AND CONNOLLY NOTED, LANGUAGE AND CULTURE  
8 HAVE SUBTLE IMPLICATIONS FOR DISCLOSURE AND CONSENT.  
9 AS THE BELMONT REPORT REMINDED US, BECAUSE THE  
10 SUBJECT'S ABILITY TO UNDERSTAND IS A FUNCTION OF  
11 INTELLIGENCE, RATIONALITY, MATURITY, AND LANGUAGE,  
12 IT IS NECESSARY TO ADAPT THE PRESENTATION OF THE  
13 INFORMATION TO THE SUBJECT'S CAPACITIES, WHICH  
14 REQUIRES IRB'S, INVESTIGATORS, SPONSORS, AND  
15 INSTITUTIONS TO BE CREATIVE IN ORDER TO HAVE  
16 FUNDAMENTAL AND GOOD COMMUNICATION.

17 SO WHAT DOES THE POPULAR PRESS TELL US  
18 ABOUT THE POTENTIAL SUBJECT POPULATION IN THE STATE  
19 OF CALIFORNIA? 224 LANGUAGES SPOKEN IN THE STATE.  
20 40 PERCENT OF L. A. COUNTY RESIDENTS ARE BORN IN  
21 ANOTHER COUNTRY. AND THOSE ARE THE FRONT LINES OF  
22 PATIENT CARE DON'T MAP OUT THE COMMUNICATION GAP  
23 EXISTS. SO IT'S UP TO THE IRB INVESTIGATORS TO  
24 CLOSE THAT COMMUNICATION GAP.

25 WHO ARE THE SUBJECTS? THERE ARE BASIC

## BARRISTERS' REPORTING SERVICE

1 DEMOGRAPHIC ASSUMPTIONS WE ALL MAKE. IN THE 1940S  
2 IN CALIFORNIA, 90 PERCENT OF CALIFORNIA POPULATION  
3 WAS EURO-AMERICAN. IN THE 1990S WE'VE SEEN  
4 UNPRECEDENTED DEMOGRAPHIC CHANGES, AND NOW THE  
5 POPULATION HAS CHANGED, AND EURO-AMERICANS ARE THE  
6 MINORITY.

7 AND THIS CHANGING TREND CHALLENGES US TO  
8 QUESTION ASSUMPTIONS OF MINORITY VERSUS MAJORITY AS  
9 WELL AS HOMOGENEOUS EURO-AMERICAN VALUE SYSTEMS THAT  
10 MAY NOT BE APPLICABLE TO COMMUNITIES OF COLOR. THIS  
11 ALL GOES INTO THE CALCULUS OF HOW WE RESPECT THE  
12 DIGNITY AND AUTONOMY OF INDIVIDUALS WHO ARE GOING TO  
13 BE IN OUR RESEARCH.

14 FURTHERMORE, ONE IN TEN ADULTS IN L. A.  
15 HAVE SIX YEARS OF EDUCATION OR LESS. IT'S THE WORST  
16 OF ALL MAJOR CITIES IN THE U. S. 53 PERCENT OF ALL  
17 WORKING AGE L. A. COUNTY RESIDENTS HAVE TROUBLE  
18 READING STREET SIGNS OR BUS SCHEDULES, FILLING OUT  
19 JOB APPLICATIONS, OR UNDERSTANDING A UTILITY BILL.  
20 I HAVE TO SAY, I DO ALL RIGHT WITH THE BUS SCHEDULE  
21 AND I DO HAVE A JOB, BUT MY UTILITY BILL IS STILL  
22 MYSTIFYING TO ME. AND SO WE HAVE TO THINK ABOUT THE  
23 LEVELS OF INFORMATION WE ARE GOING TO GIVE PEOPLE  
24 AND HOW THEY'RE GOING TO UNDERSTAND IT TO MAXIMIZE  
25 THEIR AUTONOMY AND DIGNITY. AND THIS IS WHAT THE

## BARRISTERS' REPORTING SERVICE

1 IRB' S DO EVERY DAY.

2 SO WHAT DO WE UNDERSTAND? WELL, THE FOLKS  
3 IN THIS ROOM COULD PROBABLY RELATE TO THESE  
4 STATISTICS. PERCENTAGE OF ADULTS WITH GRADUATE  
5 SCHOOL EXPERIENCE WHO ARE RATED PROFICIENT IN  
6 PROCESS READING DROPPED BY TEN POINTS ACCORDING TO  
7 2007 STATISTICS. NUMBER OF ADULTS WITH A COLLEGE  
8 DEGREE AND PROFICIENT READING OF PROCESS DROPPED  
9 FROM 40 PERCENT TO 31 PERCENT IN 2003, AND ON  
10 AVERAGE AMERICANS AGE 15 TO 24 SPEND ALMOST TWO  
11 HOURS A DAY WATCHING T.V. AND ONLY SEVEN MINUTES OF  
12 THEIR LEISURE TIME READING.

13 SO CLEARLY IT REQUIRES IRB' S TO THINK  
14 ABOUT NOVEL PROPOSALS TO DISSEMINATING INFORMATION,  
15 INCLUDING VIDEO AND AUDIO, THE USE OF PICTURES,  
16 DIAGRAMS, AND CHARTS. PEOPLE ARE BECOMING LESS  
17 ACCUSTOMED TO READING. SO HOW DO WE OBTAIN INFORMED  
18 CONSENT AND ENSURE THERE' S INFORMED CONSENT?

19 IN THIS CONTEXT WE HAVE A CHANGING VISION  
20 OF RESEARCH. IN THE 1970S THE BELMONT REPORT  
21 STRONGLY ENCOURAGED US NOT TO SEE RESEARCH AS  
22 TREATMENT, TO SEE RESEARCH AS A BURDEN TO  
23 POPULATIONS, THAT WE SHOULD BE PROTECTIONIST OF  
24 SUBJECTS, AND WE SHOULD BE HIGHLY EXCLUSIONARY. AND  
25 WE HAVE TO REMEMBER THE CONTEXT IN WHICH THE BELMONT

## BARRISTERS' REPORTING SERVICE

1 REPORT WAS WRITTEN. THERE WAS INCREDIBLE REPORTS OF  
2 UNETHICAL RESEARCH IN THE U.S., INCLUDING THE MOST  
3 FAMILIAR PROJECT BY THE PUBLIC HEALTH SERVICE, WHICH  
4 WAS THE NATURAL HISTORY OF THE SYPHILIS TRIAL.

5 SO THE CONTEXT OF THE BELMONT REPORT WAS  
6 INTERESTING AND IMPORTANT. BUT IN THE 1980S WE HAD  
7 A CHANGE IN THE RESEARCH ENVIRONMENT WITH THE AIDS  
8 CRISIS WHERE, BECAUSE THERE WAS NO EFFECTIVE  
9 TREATMENT, NO EVEN CONCEPT OF HOW TO TREAT THE  
10 DISEASE, AND PEOPLE WERE DYING IN INCREDIBLY LARGE  
11 NUMBERS VERY QUICKLY, RESEARCH BECAME THE ONLY MODE  
12 OF TREATMENT.

13 RESEARCH BECAME A BENEFIT. IT WAS A RIGHT  
14 TO BE INCLUDED. BUT I THINK WE HAVE TO REMIND  
15 OURSELVES THAT IT'S NECESSARY TO STRIKE A BALANCE  
16 BETWEEN THESE TWO EPISODES IN HISTORY AS NOT EVERY  
17 DISEASE IS GOING TO RESULT IN IMMEDIATE MORTALITY OR  
18 IRREVERSIBLE MORBIDITY. AND THAT MAYBE SOME SUBJECT  
19 POPULATIONS, AS HAS BEEN DISCUSSED OVER THE LAST TWO  
20 DAYS, AND WE WERE REMINDED OF IN MICHAEL'S  
21 PRESENTATION, MAYBE SHOULDN'T BE THE FIRST TO  
22 RECEIVE STEM CELLS.

23 WHAT WE'RE TALKING ABOUT HERE WITH  
24 RESEARCH AND TREATMENT IS THE THERAPEUTIC  
25 MISCONCEPTION WHICH WAS FIRST COINED BY PAUL

## BARRISTERS' REPORTING SERVICE

1 APPLEBAUM A COUPLE DECADES AGO. EFFECTIVELY THE  
2 OHRP IRB GUIDEBOOK REMINDS US THAT RESEARCH ITSELF  
3 IS NOT THERAPEUTIC. FOR ILL PATIENTS, RESEARCH  
4 INTERVENTIONS MAY OR MAY NOT BE BENEFICIAL. INDEED,  
5 THE PURPOSE OF EVALUATIVE RESEARCH IS TO DETERMINE  
6 WHETHER THE TEST INTERVENTION IS, IN FACT,  
7 THERAPEUTIC. AND FRANK MILLER TOLD US IN *JAMA* IN  
8 1998, NOW 11 YEARS AGO -- MY, HOW TIME FLIES -- IT  
9 IS ETHICALLY PROBLEMATIC IF BOTH INVESTIGATORS AND  
10 PATIENT VOLUNTEERS SEE RESEARCH FROM AN EXCLUSIVELY  
11 THERAPEUTIC PERSPECTIVE. IN THE FACE OF THIS  
12 POTENTIAL DIVERGENCE BETWEEN PURSUING  
13 PATIENT-CENTERED BENEFICENCE AND SCIENTIFIC  
14 KNOWLEDGE, THE ORIENTATION OF INVESTIGATORS AS  
15 CLINICIANS CAN PROMOTE A FORM OF COGNITIVE  
16 DISSONANCE. AND WHAT WE WANT TO AVOID IS THAT  
17 COGNITIVE DISSONANCE. AND WHAT IRB'S ARE CHALLENGED  
18 WITH IS THE IMPORTANCE OF ENSURING AGAIN THAT PEOPLE  
19 UNDERSTAND WHAT IT IS THEY'RE ABOUT TO EMBARK ON.

20 AND SO HOW DO THEY DO THAT? FOR PHASE I  
21 RESEARCH, WE TALKED ABOUT THAT A LOT YESTERDAY, IT'S  
22 THE FIRST USE TYPICALLY OF A POTENTIAL TEST ARTICLE  
23 IN HUMAN BEINGS. WHAT IS THE PURPOSE OF A PHASE I  
24 CLINICAL TRIAL? TREATMENT? IS IT TO STUDY? IS IT  
25 TO PERFORM RESEARCH? IS IT AN EXPERIMENT? WOULD

## BARRISTERS' REPORTING SERVICE

1 YOU BE AMAZED IF I TOLD YOU THAT THERE'S LOTS OF  
2 DATA OUT THERE THAT TELL YOU THAT PEOPLE RESPOND TO  
3 THOSE FOUR WORDS DIFFERENTLY? AND THAT ALL FOUR OF  
4 THOSE WORDS HAVE BEEN USED IN PHASE I INFORMED  
5 CONSENT FORMS REVIEWED BY IRB'S. AND I THINK WE  
6 NEED TO ASK OURSELVES WHAT WORD IS PREFERABLE FOR A  
7 PHASE I CLINICAL TRIAL.

8 ARE WE GOING TO ENGAGE IN TREATMENT OR ARE  
9 WE GOING TO ENGAGE IN AN EXPERIMENT? WHAT IS PHASE  
10 I? PHASE I, AS WE WERE TOLD YESTERDAY, IS TESTING  
11 FOR SAFETY. WHAT IS IT TO TEST FOR SAFETY? WE'RE  
12 GOING TO FIND THE MAXIMUM TOLERATED DOSE OFTEN.  
13 THAT'S WHAT TYPICALLY HAPPENS IN CANCER TRIALS AND  
14 HIV TRIALS. BUT WHAT DOES IT MEAN TO FIND THE  
15 MAXIMUM TOLERATED DOSE? IT MEANS TYPICALLY YOU ARE  
16 GOING TO DOSE INDIVIDUALS OR GROUPS UNTIL YOU MAKE  
17 THEM SICK, TYPICALLY VERY SICK, AND THEN HOPEFULLY  
18 STOP AND DRAW BACK BEFORE YOU MAKE THEM IRREVERSIBLY  
19 SICK OR YOU KILL THEM. AND I CAN TELL YOU THAT  
20 PEOPLE DO DIE IN PHASE I RESEARCH.

21 THIS IS THE ULTIMATE ALTRUISTIC ACT.

22 IT'S IMPORTANT TO BE ABLE TO CONVEY  
23 INFORMATION TO SUBJECTS SO THEY UNDERSTAND WHAT IT  
24 MEANS TO BE THE FIRST PERSON TO RECEIVE A TEST  
25 ARTICLE. WHAT WE FOUND IN PHASE I ONCOLOGY TRIALS

## BARRISTERS' REPORTING SERVICE

1 IN RESEARCH -- BECAUSE THERE IS A LOT OF RESEARCH  
2 BEING DONE ON IRB'S, IT SEEMS TO BE THE FAVORITE  
3 PURVIEW OF A WHOLE LOT OF FOLKS OVER THE LAST TEN  
4 YEARS AND SPECIFICALLY INFORMED CONSENT.

5 SO WHAT DOES THIS RESEARCH TELL US? IN  
6 PHASE I ONCOLOGY TRIALS, CONSENT FORMS ALMOST NEVER  
7 PROMISE DIRECT BENEFIT TO THE SUBJECTS. IT'S NICE  
8 TO HEAR. I FEEL REASSURED. THEY RARELY MENTION  
9 CURE AND USUALLY COMMUNICATE THE SERIOUSNESS AND  
10 UNPREDICTABILITY OF RISK. BUT THE AUTHORS WARREN  
11 AND COLLEAGUES IN THE *NEW ENGLAND JOURNAL* REMIND US  
12 THERE'S ROOM FOR IMPROVEMENT.

13 THE SUBSTANCE OF THESE FORMS IS UNLIKELY  
14 TO BE THE PRIMARY SOURCE OF MISUNDERSTANDING BY  
15 SUBJECTS IN PHASE I ONCOLOGY TRIALS. SO WHAT IS  
16 THAT PRIMARY SOURCE OF MISUNDERSTANDING? IT'S THE  
17 THERAPEUTIC MISCONCEPTION. AND IT'S BROUGHT NOT  
18 ONLY BY THE PATIENT SUBJECT TO THE TRIAL, BUT THE  
19 CLINICIAN INVESTIGATOR WHO FIRMLY BELIEVES THAT THIS  
20 PRODUCT IS GOING TO HELP THIS PATIENT. BOTH MUST BE  
21 AVOIDED.

22 GOING FURTHER TO PHASE I HUMAN GENE  
23 TRANSFER RESEARCH, WHAT KIND OF THINGS DO WE FIND  
24 OUT ABOUT THOSE INFORMED CONSENT PROCESSES?  
25 THERAPEUTIC MISCONCEPTION MAY BE QUITE BIG. THERE'S



## BARRISTERS' REPORTING SERVICE

1 GREATER UNCERTAINTY AND HAZARD IN THESE PROJECTS,  
2 AND THEY ENROLL PEOPLE WITH ADVANCED DISEASE SIMILAR  
3 TO CANCER WHO ARE MORE SUSCEPTIBLE TO THE  
4 MISCONCEPTION. BIOTECHNOLOGIES REGARDED BY  
5 CLINICIANS AND THE PUBLIC AS HERALDING REVOLUTIONARY  
6 ADVANCE SUCCUMB TO THE IDEA THAT NEW IS BETTER. AND  
7 THAT CLINICIANS WHO DEVELOP NOVEL APPROACHES OFTEN  
8 CONDUCT THEIR OWN CLINICAL TRIALS. THOSE PEOPLE WHO  
9 ARE ACTUALLY CREATING THE CELL-BASED PRODUCTS ARE  
10 GOING TO CONDUCT THE CLINICAL TRIAL. AND WE'RE  
11 GOING TO TALK ABOUT THAT CONFLICT OF INTEREST IN A  
12 MINUTE.

13 SO WHAT DOES APPLEBAUM HAVE TO SAY ABOUT  
14 HIS RESEARCH IN RANDOMIZED CLINICAL TRIALS? HE  
15 ACTUALLY GAVE US SOME EXCERPTS OF INTERVIEWS.  
16 INTERVIEWER CLARIFYING THE PREVIOUS RESPONSE OF THE  
17 PATIENT. SO THE CHOICE OF TREATMENT DOES DEPEND ON  
18 WHAT EACH INDIVIDUAL NEEDS AND THE SUBJECT RESPONDS  
19 I THINK SO, YES. I THINK THEY DO TAKE INTO ACCOUNT  
20 WHAT EACH PERSON NEEDS. IT'S A RANDOMIZED CLINICAL  
21 TRIAL.

22 SUBJECT NO. 112, I THINK IT'S A WIN-WIN  
23 FOR ANYBODY. I DON'T THINK THEY WOULD ASK YOU TO DO  
24 THIS OR PRESENT THIS TO YOU IF THEY DIDN'T THINK IT  
25 WAS GOING TO HELP YOU. INTERVIEWER: SO DO YOU

## BARRISTERS' REPORTING SERVICE

1 THINK THAT THEY ARE GIVING EVERYONE THE BEST  
2 TREATMENT? RESPONDENT: I DON'T THINK THEY'D BE IN  
3 THIS IF THEY DIDN'T. YOU KNOW, IT'S JUST LIKE BEING  
4 A DOCTOR WITH A SIGN ON THE DOOR. YOU KNOW THEY'RE  
5 HEALERS.

6 SO THIS IS THE ENVIRONMENT IN WHICH WE  
7 LIVE IN EVERY DAY. THIS IS THE ENVIRONMENT IN WHICH  
8 WE HAVE TO OPTIMIZE DIGNITY AND AUTONOMY AND MAKE  
9 SURE KNOWLEDGEABLE DECISION-MAKING IS OCCURRING  
10 BECAUSE THE FORCE AGAINST THESE CONCEPTS OF  
11 THERAPEUTIC MISCONCEPTION AND PHILOSOPHICAL  
12 CONSTRUCTS OF RESPECT FOR PERSONS BUTT UP AGAINST  
13 THE ETERNAL NEED FOR HOPE.

14 RESEARCH ACRONYMS. SPONSORS AND NOW  
15 INVESTIGATORS THEMSELVES ARE VERY INTERESTED IN  
16 SELLING THEIR RESEARCH BECAUSE IT'S IMPORTANT TO  
17 PROMOTE ENROLLMENT. WITHOUT PEOPLE WILLING TO  
18 PARTICIPATE IN RESEARCH, THE RESEARCH WILL NOT GET  
19 DONE. SO THIS IS WHERE CLINICAL RESEARCH MEETS  
20 MARKETING STRATEGIES AND ENGAGES A THERAPEUTIC  
21 MISCONCEPTION FOR THE BENEFIT OF ENROLLMENT.

22 SO MY FAVORITE ONE IS A STUDY CALLED CURE.  
23 WHO DOESN'T WANT TO BE IN CURE? CLOPIDOGREL AND  
24 UNSTABLE ANGINA TO PREVENT RECURRENT EVENTS. I WANT  
25 TO BE IN SURVIVE, SURVIVAL OF PATIENTS WITH ACUTE

## BARRISTERS' REPORTING SERVICE

1 HEART FAILURE IN NEED OF INTRAVENOUS INOTROPIC  
2 SUPPORT. AND THEN THERE'S OPSOM, BRILLIANT, CASH,  
3 COURAGE, PROTECT, PROSPER, BIGGER, BIGMACK, HERO,  
4 CABBAGE PATCH, AND ALIVE.

5 WHEN I WAS WITH THE IRB, I HAVE TO SAY WE  
6 REVIEWED ALMOST ALL THOSE STUDIES EXCEPT FOR BIGMACK  
7 AND CABBAGE PATCH.

8 DR. WAGNER: OH, I THINK YOU DID. YOU  
9 JUST DIDN'T REALIZE IT.

10 DR. PECKMAN: I'M SORRY?

11 DR. WAGNER: I THINK YOU DID ACTUALLY THE  
12 CABBAGE PATCH.

13 DR. PECKMAN: AND I CAN TELL YOU IN EACH  
14 ONE WE TOLD THE INVESTIGATOR THEY COULD NOT USE  
15 THOSE TITLES IN THEIR INFORMED CONSENT FORM, AND  
16 MANY IRB'S ARE GOING UP AGAINST THIS IN TERMS OF  
17 CORPORATE SPONSORS, AND THEY'RE WINNING. BUT THEY  
18 HAVE TO ASK THE QUESTION IS MARKETING TAKING OVER  
19 APPROPRIATE DECISION-MAKING? THIS IS WHERE THE IRB  
20 RUBBER MEETS THE ROAD. AND THIS IS WHERE  
21 INVESTIGATORS ARE CONSTANTLY CLAIMING THAT THE IRB'S  
22 ARE OBSTRUCTIONIST ABOUT PETTY LITTLE ITEMS THAT ARE  
23 OF NO CONSEQUENCE. AFTER ALL, THIS IS WHAT THE  
24 SPONSOR CALLS THE PROJECT. IT'S ACCURATE.

25 THESE ARE SOME OF THE THINGS THAT WE HAVE

## BARRISTERS' REPORTING SERVICE

1 TO ENGAGE IN AS A GROUP OF THE STANDARDS WORKING  
2 GROUP, AS IRB'S, AS INVESTIGATORS, AS PATIENT  
3 ADVOCATES, AS THE PUBLIC TO ENSURE AUTONOMY AND  
4 DIGNITY.

5 EXPLAINING THE RISKS AND BENEFITS IN PHASE  
6 I RESEARCH. BENEFITS, THERE IS NO INTENT TO PROVE  
7 EFFECTIVENESS. THERE'S NO DIRECT BENEFIT INTENDED.  
8 SUBJECTS MAY EXPERIENCE A PSYCHOLOGICAL BENEFIT FROM  
9 THEIR ALTRUISTIC PARTICIPATION, PARTICIPATION ON  
10 BEHALF OF OTHERS. THIS IS WHAT PHASE I CLINICAL  
11 RESEARCH IS ABOUT.

12 RISKS, THE IRB HAS TO GATHER THESE RISKS,  
13 ASSESS THEM, AND THEN CONVEY -- MAKE SURE THEY'RE  
14 CONVEYED APPROPRIATELY TO SUBJECTS. AND WE COVERED  
15 SOME OF THESE YESTERDAY AND ALREADY THIS MORNING,  
16 THAT THERE MAY BE UNKNOWN TOXICITIES, THAT THERE MAY  
17 BE KNOWN TOXICITIES FROM SIMILAR RESEARCH OR  
18 RELEVANT ANIMAL MODELS, BUT WE'RE NOT SURE. THERE  
19 MAY BE AN INABILITY TO CONTROL PROLIFERATION OF  
20 CELLS AND WHAT THE OUTCOME OF THAT INABILITY MAY BE.  
21 AND YOU MAY HAVE A WORSENING CONDITION OR DISABILITY  
22 AS A RESULT OF PARTICIPATING IN THE RESEARCH.

23 THE ISSCR GUIDELINES, WHICH WE'LL HEAR  
24 ABOUT A LITTLE LATER, GO EVEN FURTHER IN TERMS OF  
25 THE NEED FOR IRB'S AND FOR INVESTIGATORS TO ASSESS

## BARRISTERS' REPORTING SERVICE

1 AND ACKNOWLEDGE THE NOVELTY AND UNPREDICTABILITY OF  
2 STEM CELL-BASED RESEARCH IN A SERIES OF VERY  
3 SPECIFIC TARGETS.

4 ALTERNATIVES TO RESEARCH. ONE OF THE MOST  
5 IMPORTANT ASPECTS OF INFORMED CONSENT, STANDARD OF  
6 CARE MAY BE AN AUTHENTIC ALTERNATIVE TO RESEARCH,  
7 ESPECIALLY FOR PATIENTS WHO ARE TREATMENT NAIVE OR  
8 HAVE NOT RECEIVED ALL OF THE STANDARDS OF POSSIBLE  
9 CARE. IF THERE'S A TERMINAL ILLNESS INVOLVED IN THE  
10 PATIENT POPULATION, AN ALTERNATIVE MAY BE PALLIATIVE  
11 CARE, TREATMENT OF SYMPTOMS AND PAIN CONTROL. WE  
12 NEED TO REMIND OURSELVES IN OUR QUEST TO HELP  
13 PATIENTS THAT PARTICIPATION IN RESEARCH MAY MAKE  
14 THEM WORSE. AND IT MAY DIMINISH WHATEVER QUALITY OF  
15 LIFE THEY HAVE REMAINING. BECAUSE THOSE LAST FEW  
16 MONTHS WITH ONE'S FAMILY AND FRIENDS AT FULL  
17 CAPACITY MAY BE MORE WELCOME BY THE PATIENT THAN TO  
18 ENGAGE IN RESEARCH WITH UNKNOWN OUTCOMES AND  
19 POSSIBLE ADVERSE OUTCOMES.

20 AND FINALLY, AS DR. DOBKIN DISCUSSED  
21 YESTERDAY, MODES OF REHABILITATION ARE ALTERNATIVES  
22 TO PARTICIPATION IN SOME RESEARCH. SO AS YOU CAN  
23 SEE, THERE ARE MANY ASPECTS OF INFORMATION THAT ARE  
24 CONVEYED TO SUBJECTS AND ARE THE RESPONSIBILITY OF  
25 THE IRB TO MAKE SURE THAT THEY'RE CONVEYED

## BARRISTERS' REPORTING SERVICE

1 THOUGHTFULLY, SENSITIVELY, ACCURATELY, AND IN A  
2 LANGUAGE THAT'S UNDERSTANDABLE TO ALL OF THE  
3 SUBJECTS.

4 IN THIS WAY MANY IRB'S ARE INCORPORATING  
5 VIDEOTAPE, AUDIO RECORDINGS, PICTURES, DIAGRAMS AND  
6 CHARTS IN CONSENT FORMS AND REDUCING THE AMOUNT OF  
7 NARRATIVE IN ORDER TO ACCOMMODATE A POPULATION THAT  
8 IS ABLE TO READ AND COMPREHEND LESS AND LESS.

9 INJURY FROM RESEARCH, WELL, YOU KNOW, ONE  
10 OF THE OUTCOMES OF RESEARCH COULD BE THAT YOU'RE  
11 GOING TO GET HURT. IRB'S ARE ALSO ENTRUSTED WITH  
12 ENGAGING THIS PROCESS. THE FEDERAL REGULATIONS,  
13 THOUGH, ARE AMBIVALENT ABOUT INJURY IN THAT THERE'S  
14 NO REQUIREMENT TO PAY FOR RESEARCH-RELATED INJURIES.  
15 NOW, A CONSENT FORM, WE KNOW YOU MUST INFORM  
16 SUBJECTS WHETHER THERE IS ANY COMPENSATION AND HOW  
17 YOU ARE GOING TO ACCESS MEDICAL TREATMENT, BUT IT  
18 DOESN'T SAY WHO HAS TO PROVIDE IT OR WHO HAS TO PAY  
19 FOR IT. IN FACT, SOME INSTITUTIONS SAY THAT THE  
20 PATIENT PARTICIPATES AT HIS OR HER OWN RISK,  
21 PHYSICAL AND ECONOMIC.

22 CIRM HAS NO SPECIFIC REQUIREMENTS ABOUT  
23 INJURY TO SUBJECTS RECEIVING A TEST ARTICLE EITHER.  
24 ALTHOUGH CIRM REGULATIONS DO REFERENCE THE FEDERAL  
25 REGULATIONS FOR THOSE INSTITUTIONS THAT HOLD AN HHS

## BARRISTERS' REPORTING SERVICE

1 ASSURANCE OF COMPLIANCE, BUT THEN IT CIRCLES BACK TO  
2 THE TOP IN THE FEDERAL REGULATIONS, WHICH IS THERE  
3 ARE NO REQUIREMENTS TO PROTECT SUBJECTS WHO ARE  
4 INJURED IN TERMS OF WHAT ARE THE OBLIGATIONS OF THE  
5 INSTITUTIONS AND CIRM AS A SPONSOR. ALTHOUGH I  
6 SHOULD ADD THAT THERE ARE MANY REQUIREMENTS FOR  
7 OOCYTE DONORS AND THEIR PROTECTION.

8 SO, AGAIN, AN EXAMPLE OF WHERE WE HAVE  
9 GONE AND WHERE WE HAVE COME FROM ADDRESSES ONE SET  
10 OF RESEARCH CIRCUMSTANCES, BUT IT IS NOT FORWARD  
11 LOOKING IN TERMS OF WHERE WE'RE GOING WITH CLINICAL  
12 RESEARCH.

13 FINALLY, BEFORE YOU GO CHECK OUT,  
14 INVESTIGATOR CONFLICT OF INTEREST. HOW SHOULD IRB'S  
15 AND INSTITUTIONS AND CIRM ADDRESS THE MANAGEMENT OF  
16 INVESTIGATOR CONFLICTS WHEN THE INVESTIGATOR IS ALSO  
17 THE INVENTOR AND MAY BE THE ONLY PERSON WHO'S EVER  
18 ACTUALLY USED THE PRODUCT IN A SETTING THAT COULD BE  
19 TRANSLATABLE TO HUMANS? I'LL GIVE YOU AN EXAMPLE.

20 A SURGEON WHO DEVELOPS A TEST ARTICLE,  
21 DRUG OR DEVICE, HAS BEEN THE ONLY PERSON TO DO THE  
22 SURGICAL PROCEDURES ON THE ANIMAL MODEL AND IS NOW  
23 GOING TO TRANSLATE THAT INTO HUMANS, WILL BE THE  
24 PRINCIPAL INVESTIGATOR OF THE RESEARCH, THE INVENTOR  
25 AND DISCOVERER, AND MAY ACTUALLY EVEN HAVE AN

## BARRISTERS' REPORTING SERVICE

1 ECONOMIC INTEREST IN THE PRODUCTS BEING TESTED.

2 SHOULD THAT PERSON BE CONDUCTING THE  
3 RESEARCH? SHOULD THAT PERSON BE OBTAINING INFORMED  
4 CONSENT FROM SUBJECTS? WHO ELSE WOULD BE QUALIFIED  
5 TO DO THE RESEARCH? ARE WE PUTTING SUBJECTS AT  
6 INCREASED RISK BY SAYING THE PERSON CAN'T DO IT?  
7 HOW DO WE MANAGE THESE CONFLICTS? WHO SHOULD BE  
8 MANAGING THEM? I CAN TELL YOU AT MANY INSTITUTIONS  
9 IT'S THE IRB THAT'S LEFT TO MANAGE THESE CONFLICTS  
10 BECAUSE THEIR INSTITUTIONAL CONFLICT OF INTEREST  
11 COMMITTEES AREN'T PREPARED TO ANSWER THESE CLINICAL  
12 RESEARCH QUESTIONS.

13 THERE ARE NO CONFLICT OF INTEREST  
14 REGULATIONS FROM FDA OR HHS REGARDING WHEN THE  
15 INVESTIGATOR IS ALSO THE INVENTOR AND WHAT SHOULD  
16 OCCUR. AND CIRM HAS NO REGULATIONS EITHER. ISSCR  
17 ACKNOWLEDGES THAT THE NOVEL RESEARCH MAY REQUIRE  
18 INVESTIGATORS TO ASSIST IN THE DESIGN, DEVELOPMENT,  
19 AND MANUFACTURING PROCESS, AND ASSAYS, BUT, AGAIN,  
20 IT'S SILENT ON WHAT SHOULD BE DONE TO MANAGE SUCH  
21 CONFLICTS.

22 SO I'M GOING TO END HERE WITH POSTAPPROVAL  
23 MONITORING, AND WE CAN RECONVENE. IS THAT OKAY,  
24 BERNIE?

25 CHAIRMAN LO: THAT SOUNDS GREAT. LET'S



## BARRISTERS' REPORTING SERVICE

1 TAKE ANY COMMENTS AND QUESTIONS ON WHAT STEVE SAID  
2 SO FAR AND COME BACK LATER.

3 DR. ROBERTS: WELL, I HAVE A LOT ACTUALLY,  
4 SO I'LL TRY NOT TO -- I JUST -- WHAT YOU SAID, I  
5 THOUGHT, RAISED A LOT OF ISSUES THAT RELATED TO WHAT  
6 WE'VE BEEN TALKING ABOUT. ONE WAS WHAT SEEMS TO BE  
7 ATTENTION ABOUT WHO SHOULD BE THE FIRST HUMAN  
8 SUBJECT. SHOULD IT BE THE SICKEST OR THE LEAST  
9 SICK? AND I THINK WE'VE BEEN GOING ALONG THINKING  
10 IT SHOULD BE THE SICKEST. AND, IN FACT, DR.  
11 KALICHMAN SUGGESTED THAT, BUT THEY'RE ALSO THE MOST  
12 LIKELY TO HAVE THE THERAPEUTIC MISCONCEPTION.  
13 THEY'RE THE MOST VULNERABLE. AND YESTERDAY WE ALSO  
14 MENTIONED THAT THEY MAY BE THE LEAST LIKELY TO  
15 PARTICIPATE IN RESEARCH THAT INVOLVES SHAM  
16 SURGERIES.

17 SO THERE'S A TENSION THERE, I THINK.  
18 ALSO, EXPLAINING THE NEED TO EXPLAIN THE RISKS AND  
19 CONTINUING REVIEW, WHICH I KNOW YOU'LL GET TO, SEEMS  
20 TO ME THAT THAT MIGHT PROVIDE A REASON FOR INSISTING  
21 ON CIRM-FUNDED SCIENTISTS TO PUBLISH NEGATIVE  
22 FINDINGS. SO THAT I THINK MANY OF US OR SEVERAL OF  
23 US HAVE THOUGHT THAT THAT'S A GOOD IDEA AND THIS  
24 MIGHT BE AN ADDITIONAL REASON FOR IT BECAUSE, OF  
25 COURSE, THOSE NEGATIVE FINDINGS ARE WHAT REVEAL THE

**BARRISTERS' REPORTING SERVICE**

1 RISKS OF CONTINUING RESEARCH OR RESEARCH OR RELATED  
2 RESEARCH BY OTHERS.

3 AND THEN I ALSO THOUGHT YOU EMPHASIZED THE  
4 IMPORTANCE OF RESEARCHERS BEING PART OF THE INFORMED  
5 CONSENT PROCESS. AND IN SHAM SURGERIES THAT IT  
6 WOULDN'T BE ENOUGH TO HAVE A SHAM SURGERY THAT JUST  
7 CONVINCED THE PARTICIPANT THAT SHE WAS GETTING THE  
8 ACTIVE ARTICLE, BUT THE RESEARCHERS WOULD HAVE TO BE  
9 CONVINCED AS WELL BECAUSE THEY'RE GOING TO BE  
10 TREATING THE PATIENTS DIFFERENTLY IF THEY KNOW  
11 THAT -- PATIENT IS THE WRONG WORD, BUT THE SUBJECT  
12 DIFFERENTLY. SO THAT COMPLICATES THE REQUIREMENTS  
13 FOR SHAM SURGERIES.

14 AND THEN FINALLY, WHEN YOU MENTION  
15 DIVERSITY AND YOU RAISE ISSUES ABOUT DIFFERENT  
16 CULTURES AND ETHICS AND ALSO JUST THE ABILITY TO  
17 READ, THERE'S ALSO, I THOUGHT, AN ASPECT OF  
18 DIVERSITY IS ECONOMICS, INCOME. AND THIS RELATES TO  
19 WHAT YOU WERE SAYING AT THE END ABOUT WHETHER CIRM  
20 SHOULD PROVIDE CARE FOR RESEARCH SUBJECTS WHO  
21 REQUIRE CARE FOR RESEARCH SUBJECTS WHO ARE HARMED.  
22 THOSE SUBJECTS WHO HAVE NO HEALTH INSURANCE OR CAN'T  
23 AFFORD CARE ARE GOING TO BE AT A DISADVANTAGE  
24 COMPARED TO WEALTHIER RESEARCH SUBJECTS.

25 AND ALSO WITH REHABILITATION. YESTERDAY,

## BARRISTERS' REPORTING SERVICE

1 DR. DOBKIN, YOU MENTIONED THIS, MENTIONED THAT  
2 RESEARCH SUBJECTS SHOULD FIRST ALL BE PROVIDED WITH  
3 EXCELLENT REHABILITATION TO MAKE SURE THAT THEY'RE  
4 ALL, BOTH THE CONTROL GROUP AND THE TEST GROUP, ARE  
5 ABLE TO OPERATE AT THEIR FULLEST CAPACITY. AND ALSO  
6 SUGGESTED THAT THAT'S NOT GOING ON NOW. AND I NOTED  
7 ALSO IN HIS ARTICLE YESTERDAY -- THE ARTICLE HE  
8 MENTIONED YESTERDAY ABOUT CHINA, THAT THE REASON WHY  
9 THOSE SUBJECTS, MOST OF THE SUBJECTS, THE ONES WHO  
10 IMPROVED, THE REASON WHY THEY IMPROVED WAS THE  
11 POSTOPERATIVE CARE THAT THEY GOT, WHICH SUGGESTS  
12 THAT AN IMPORTANT ELEMENT OF ALL OF THIS IS THE  
13 PRETESTING CARE THAT PATIENTS GET. AND THAT COSTS  
14 MONEY TOO. SO THOSE WHO HAVE GOOD HEALTH INSURANCE,  
15 HAVE GOOD CARE, ARE WEALTHIER ARE GOING TO BE IN A  
16 DIFFERENT POSITION FROM POORER RESEARCH SUBJECTS WHO  
17 PERHAPS HAVEN'T GOTTEN ANY CARE.

18 I KNOW THIS IS A LOT OF THINGS, BUT I  
19 REALLY THOUGHT THAT YOUR PRESENTATION BROUGHT IN A  
20 LOT OF ISSUES WE'VE BEEN TALKING ABOUT THE LAST  
21 COUPLE DAYS THAT I THINK WE NEED TO ADDRESS ALL OF  
22 THESE ISSUES. AND I DON'T KNOW IF WE HAVE TIME FOR  
23 YOU TO ANSWER ALL OF THEM.

24 DR. PECKMAN: LET ME TRY TO HIT A FEW  
25 POINTS. IN TERMS OF BRINGING ALL THE SUBJECTS TO A

## BARRISTERS' REPORTING SERVICE

1 SIMILAR BASELINE, I THINK THAT THE CHALLENGE IS  
2 GOING TO BE DIFFERENT DEPENDING ON THE DISEASE AND  
3 THE POPULATION. AND THAT WHERE WE'RE DEALING WITH  
4 SPINAL CORD INJURY OR PARKINSON'S OR ALZHEIMER'S,  
5 YOU'RE GOING TO HAVE DIFFERENT KIND OF SETTING OF A  
6 PATIENT POPULATION THAN YOU ARE IN OTHER KINDS OF  
7 DISEASES OR DISORDERS, SUCH AS HIV OR DIABETES OR  
8 CANCER.

9 THAT BEING SAID, AND SINCE CIRM IS PLAYING  
10 A DUAL ROLE OF CREATING AND SIMILAR TO NIH IN TERMS  
11 OF REVIEWING RESEARCH AND CREATING REGULATIONS FOR  
12 RESEARCH, YOU'RE IN A UNIQUE POSITION TO ENSURE THAT  
13 SOME OF THESE QUESTIONS HAVE AT LEAST A FRAMEWORK IN  
14 WHICH TO BE ANSWERED. AND SO, FOR EXAMPLE, WHEN A  
15 SCIENTIFIC REVIEW COMMITTEE REVIEWS A PROJECT, IS IT  
16 ADDRESSING SOME OF THESE QUESTIONS? THE IRB CLEARLY  
17 IS GOING TO HAVE TO ADDRESS THOSE QUESTIONS TO  
18 ENSURE EQUITABLE SELECTION, TO ENSURE RISK-BENEFIT  
19 CALCULATION. BY THE TIME IT GETS TO THE IRB, IT MAY  
20 BE TOO LATE.

21 DR. ROBERTS: RIGHT.

22 DR. PECKMAN: AND SO IT'S INCUMBENT UPON  
23 THE RFA PROCESS AND THE SCIENTIFIC REVIEW THAT  
24 HAPPENS DURING THE RFA PROCESS TO INCLUDE THESE  
25 KINDS OF ELEMENTS IN IT.

**BARRISTERS' REPORTING SERVICE**

1 DR. ROBERTS: UH-HUH.

2 DR. PECKMAN: IN TERMS OF INJURY, THAT'S  
3 GOING TO TACKLE A QUESTION THAT MANY PEOPLE SAY IS  
4 ABOVE MY PAY GRADE; HOWEVER, I THINK IT'S INCUMBENT  
5 UPON CIRM TO TRY TO THINK ABOUT IT BECAUSE AS WE  
6 DEAL WITH NOVEL TEST ARTICLES, THE FIRST TIME IN  
7 HUMANS, WITH THE POTENTIAL FOR SERIOUS INJURY. FOR  
8 EXAMPLE, IN SPINAL CORD INJURY, I DON'T KNOW IF THIS  
9 IS PART OF THE IND THAT GERON HAS FILED AND WHETHER  
10 THEY'VE DONE SOMETHING TO MITIGATE THIS, BUT IS IT  
11 POSSIBLE THAT THE CELLS WILL PROLIFERATE OUTSIDE THE  
12 INTENDED SITE? WELL, YOU HAVE NEURAL CELLS FLOATING  
13 THROUGHOUT THE BODY. IF YOU DO, DOES THAT RESULT IN  
14 TOTAL INTRACTABLE PERMANENT BODY PAIN? SO ARE YOU  
15 CREATING A LARGER DISABILITY FOR SOMEONE WHO'S  
16 ALREADY AT A DEFICIT --

17 DR. ROBERTS: UH-HUH.

18 DR. PECKMAN: -- WHO'S DOING THIS OUT OF  
19 THEIR ALTRUISM AND CERTAINLY NOT TO TAKE AWAY THEIR  
20 HOPE AS WELL. SO THESE ARE QUESTIONS THAT CERTAINLY  
21 NEED TO BE ANSWERED.

22 DR. ROBERTS: UH-HUH.

23 DR. PECKMAN: IN TERMS OF THE DIVERSITY OF  
24 THE SUBJECT POPULATION, ECONOMICS, THEIR ACCESS TO  
25 HEALTHCARE IN THEIR NONRESEARCH LIFE --

**BARRISTERS' REPORTING SERVICE**

1 DR. ROBERTS: RIGHT.

2 DR. PECKMAN: -- ARE ALL IMPORTANT ASPECTS  
3 AND PART OF THE CALCULATION THAT SHOULD BE  
4 CONSIDERED BOTH PRE-IRB REVIEW AND DURING THE IRB  
5 REVIEW.

6 I'M SORRY. I FORGOT YOUR FIRST ONE.

7 DR. ROBERTS: MAYBE WE CAN GET TO THIS  
8 LATER, BUT JUST ARE THE APPROPRIATE SUBJECTS THE  
9 SICKEST OR THE LEAST SICK?

10 DR. PECKMAN: RIGHT. RIGHT.

11 DR. ROBERTS: I'VE HEARD ARGUMENTS GOING  
12 BOTH WAYS.

13 DR. PECKMAN: I THINK IT'S GOING TO DEPEND  
14 ON THE DISEASE YOU'RE LOOKING AT OR DISABILITY  
15 YOU'RE LOOKING AT. IT'S GOING TO DEPEND ON THE TYPE  
16 OF RESEARCH THAT YOU'RE PROPOSING AND WHAT ITS  
17 TARGET IS. I THINK THERE ARE A LOT OF DIFFERENT  
18 VARIABLES THAT ARE GOING TO COME INTO PLAY. AND  
19 AGAIN, IT COMES OUT THROUGH THE RFA AND SCIENTIFIC  
20 REVIEW PROCESS AND THEN ULTIMATELY TO BE DETERMINED  
21 BY THE IRB WHETHER THESE ARE APPROPRIATE PEOPLE TO  
22 PLACE AT RISK AT THIS TIME IN THE DEVELOPMENT OF  
23 THIS PROJECT.

24 AND TELL YOU THE TRUTH, I SEE IT HAPPEN ON  
25 A DAY-TO-DAY, PROJECT-BY-PROJECT BASIS, AND

## BARRISTERS' REPORTING SERVICE

1       SOMETIMES ON A SUBJECT-BY-SUBJECT BASIS. I'VE SEEN  
2       IRB'S, FOR EXAMPLE, WHERE THERE ARE SERIOUS ADVERSE  
3       EVENTS IN A PROJECT WHERE THE PROJECT GETS SLOWED  
4       DOWN TO A SUBJECT-BY-SUBJECT DEFINING OF  
5       CONTINUATION DEPENDING ON CERTAIN RESPONSES TO  
6       CERTAIN TYPES OF TESTS FOR DRUG DETECTION.

7               AND I'M GOING TO GET INTO THIS WHEN I TALK  
8       ABOUT MONITORING. SO I THINK THAT THERE ARE  
9       FRAMEWORKS IN PLACE TO BE USED TO ANSWER ALL THOSE  
10      QUESTIONS. THE CHALLENGE TO US IS WILL WE USE THEM?  
11      AND WILL WE BE SUCCESSFUL IN ANSWERING THEM?

12             DR. ROBERTS: THANK YOU.

13             CHAIRMAN LO: OTHER QUESTIONS OR COMMENTS?

14             DR. ROBERTS: I THINK DR. KALICHMAN.

15             DR. KALICHMAN: IF I COULD JUST FOLLOW UP.  
16      I AGREE GREATLY WITH STEVE'S POINT THAT THIS SHOULD  
17      BE DONE ON A CASE-BY-CASE BASIS IN TERMS OF WHICH  
18      PATIENTS. BUT I THINK THE POINT I WAS TRYING TO  
19      MAKE OR I KNOW THE POINT I WAS TRYING TO MAKE IS  
20      THAT WHEN WE FIRST START THESE TRIALS, THE FIRST  
21      HANDFUL, BECAUSE WE ARE ENTERING SUCH NEW TERRITORY  
22      WITH THIS PARTICULAR KIND OF TREATMENT, THAT THOSE  
23      SHOULD BE IN THAT GROUP OF PATIENTS. I WOULD  
24      STRONGLY ARGUE AGAINST MAKING OUR FIRST CHOICE TO  
25      TREAT YOUNG PEOPLE FOR SOMETHING THAT MIGHT PROTECT

## BARRISTERS' REPORTING SERVICE

1 THEM AGAINST ALZHEIMER'S DISEASE LATER BY PUTTING  
2 EMBRYONIC STEM CELLS IN THEIR BRAINS. SO THAT'S THE  
3 DISTINCTION.

4 DR. ROBERTS: RIGHT. I UNDERSTAND.

5 DR. PECKMAN: BUT A DISEASE THAT IMPACTS  
6 ON PEOPLE THAT COULD KILL THEM BEFORE THEY BECOME  
7 ADOLESCENTS MAY BE AN APPROPRIATE SUBJECT  
8 POPULATION, RIGHT? I MEAN YOU HAVE TO LOOK AT THIS  
9 IN A VERY CONTEXTUALIZED WAY. OTHERWISE YOU RUN THE  
10 RISK OF OMITTING SOMETHING THAT MAY ACTUALLY HELP US  
11 EITHER IN TERMS OF DIRECTLY TO PEOPLE EVENTUALLY OR  
12 AT LEAST IN TERMS OF OUR KNOWLEDGE AS TO WHAT CAN OR  
13 CAN'T BE DONE IN A SPECIFIC AREA.

14 CHAIRMAN LO: JEFF.

15 MR. SHEEHY: I DO WANT TO ECHO DOROTHY'S  
16 POINT ABOUT HAVING TO DEAL WITH MEDICAL CARE.  
17 BECAUSE I THINK, AS YOU KNOW, BERNIE, IT'S NOT  
18 UNCOMMON. I MEAN I'VE HEARD OF EXAMPLES OF PEOPLE  
19 WHO BASICALLY GO FROM A RESEARCH SETTING TO SAN  
20 FRANCISCO GENERAL BECAUSE THE RESEARCH DOESN'T  
21 COVER, SO THEY END UP BEING COVERED BY THE COUNTY OR  
22 THE STATE, WHICH I THINK, AT LEAST IN THE SITUATION  
23 OF HIV MEDICINE, IS NOT QUITE THE SAME THING AS WHAT  
24 WE MIGHT BE TALKING ABOUT WITH SOME OF THE  
25 IRREVERSIBLE EFFECTS THAT WE MIGHT SEE IN A REAL



## BARRISTERS' REPORTING SERVICE

1 ROBUST SYSTEM OF CARE THAT'S FUNDED BY THE FEDERAL  
2 GOVERNMENT AND THE STATE GOVERNMENT AT A LOCAL  
3 LEVEL.

4 SO, YOU KNOW, I DO THINK THAT THERE'S A  
5 REAL CONCERN ABOUT PEOPLE GETTING PROCEDURES THAT DO  
6 HAVE THESE IRREVERSIBLE EFFECTS AND THEN WHO'S  
7 REALLY GOING TO BE RESPONSIBLE? WILL THEIR OWN  
8 INSURANCE COMPANIES BE WILLING TO COVER ENDLESS CARE  
9 FOR SOMEONE WHO HAS TAKEN A RATHER DRAMATIC -- NEEDS  
10 MUCH MORE EXTENSIVE CARE AS A RESULT OF HAVING  
11 PARTICIPATED IN A RESEARCH PROJECT? SO I DON'T KNOW  
12 HOW WE RESOLVE IT. I THINK IT'S SOMETHING THAT  
13 EXISTS AND A LARGER RESEARCH ISSUE. AND WE DO HAVE  
14 A GOOD PUBLIC HEALTH SYSTEM IN CALIFORNIA THROUGH  
15 MEDI-CAL, BUT I DON'T KNOW -- THERE MAY BE A GAP  
16 THERE.

17 DR. PECKMAN: LET ME ADD A COUPLE  
18 AMENDMENTS. ONE IS THAT THE UNIVERSITY OF  
19 CALIFORNIA AS A MATTER OF POLICY REQUIRES THAT ALL  
20 INDUSTRY-SPONSORED RESEARCH COVER FULL RESEARCH  
21 INJURY. SO AS FAR AS THAT GOES, THE UNIVERSITY OF  
22 CALIFORNIA IS A CARVE-OUT FOR THIS QUESTION  
23 REGARDING COMMERCIAL RESEARCH. SO IF GERON OR AMGEN  
24 OR SOME OTHER COMPANY COMES AND SAYS WE WANT TO TRY  
25 THIS NEW CELL THERAPY, CELL RESEARCH PROJECT, AND

## BARRISTERS' REPORTING SERVICE

1 UNIVERSITY OF CALIFORNIA REQUIRES THE CONTRACT TO  
2 INCLUDE THAT THE SPONSOR PAY FOR ANY  
3 RESEARCH-RELATED INJURY. THE UNIVERSITY WILL  
4 PROVIDE CARE AND WILL BE REIMBURSED BY THE SPONSOR  
5 OR SOMEONE ELSE COULD PROVIDE CARE.

6 NOW, OF COURSE, THAT LEAVES OUT THAT OTHER  
7 ASPECTS OF POTENTIAL CIRM-FUNDED INVESTIGATIONS, BUT  
8 THAT'S ONE AREA WHERE IT'S DONE. I SHOULD ALSO ADD  
9 THAT THE FEDERAL GOVERNMENT HAS NEVER SUCCESSFULLY  
10 ADDRESSED THIS QUESTION. AND THEY'VE LEFT IT TO  
11 INSTITUTIONS TO HANDLE ON IT A CASE-BY-CASE BASIS,  
12 WHICH THAT IN ITSELF MAY BE A QUESTION THAT NEEDS TO  
13 BE ADDRESSED IN A LARGER CONTEXT. BUT THE LARGER  
14 CONTEXT IS THAT IN THIS COUNTRY WE DON'T HAVE  
15 NATIONALIZED HEALTHCARE, AND EVERYONE KIND OF GETS  
16 IT AS THEY CAN.

17 DR. TAYLOR: STEVE, HOW LONG HAS THAT  
18 CALIFORNIA POLICY BEEN IN PLACE?

19 DR. PECKMAN: UNIVERSITY OF CALIFORNIA  
20 POLICY HAS BEEN IN PLACE SINCE, I THINK, AT LEAST  
21 1994. I THINK IT'S AT LEAST SINCE 1994.

22 CHAIRMAN LO: WE HAVE A NUMBER OF  
23 QUESTIONS. FRANCISCO. THEN ALTA.

24 DR. PRIETO: JUST A COUPLE THINGS THAT  
25 THIS SORT OF REMINDS ME OF. ONE IS THAT EVERY WEEK

## BARRISTERS' REPORTING SERVICE

1 MY LOCAL NEWSPAPER, AND I IMAGINE DOWN HERE ALSO, I  
2 SEE ADS FOR PHASE III CLINICAL TRIALS WITH  
3 INDUCEMENTS, INCLUDING FINANCIAL INCENTIVES AND ALL  
4 MEDICAL CARE PROVIDED DURING THE COURSE OF THE  
5 STUDY, BUT I AM ALMOST CERTAIN THAT THERE IS NO  
6 COVERAGE AFTER THAT.

7 DR. PECKMAN: ALL MEDICAL CARE TYPICALLY  
8 REFERS TO THE CARE PROVIDED FOR WHATEVER IT IS  
9 THEY'RE RESEARCHING OR THE DISEASE YOU MAY HAVE. IT  
10 DOESN'T NECESSARILY RESPOND TO ANYTHING THAT MAY  
11 RESULT FROM AN INJURY.

12 DR. PRIETO: NO. YOU GET EXAMINATIONS BY  
13 A DOCTOR AND SO ON DURING THE COURSE OF THE STUDY.  
14 BUT THE OTHER THING THAT STRIKES ME IS HOW MUCH OF  
15 THIS REALLY -- SOME OF THESE VERY BASIC QUESTIONS  
16 ARE THINGS THAT YOU DEAL WITH EVERY DAY IN PRIMARY  
17 CARE MEDICINE TOO IN JUST PRESENTING A TREATMENT TO  
18 A PATIENT. YOU KNOW, I HAVE TO TELL PEOPLE THAT  
19 THIS TREATMENT FOR THIS DISEASE THAT I'M PROPOSING  
20 TO YOU MAY MAKE YOU BETTER, BUT IT COULD MAKE YOU  
21 WORSE. IT COULD MAKE YOU WORSE IN UNEXPECTED WAYS.  
22 THESE ARE THE RELATIVE LIKELIHOODS OF THAT AS BEST I  
23 KNOW THEM, AND THAT INFORMATION IS OFTEN USUALLY  
24 INCOMPLETE. AND THERE'S A WHOLE LITERATURE ABOUT,  
25 AT LEAST IN PRIMARY CARE, ABOUT THAT, YOU KNOW,

## BARRISTERS' REPORTING SERVICE

1 INFORMING PATIENT EDUCATION AND INFORMED CONSENT IN  
2 THAT TYPE OF SETTING. MAYBE YOU CAN LEARN SOMETHING  
3 FROM THAT.

4 DR. PECKMAN: THERE IS A WHOLE LITERATURE  
5 IN RESEARCH AS WELL, AND I'D SAY THAT THERE IS  
6 ALWAYS CONCERNS AND CALLS FOR IMPROVEMENT THAT, IN  
7 GENERAL, INFORMATION IS BEING CONVEYED AND THAT  
8 IRB'S HAVE SUCCESSFULLY BEEN DOING THIS JOB FOR  
9 DECADES. AND SO THAT'S WHY MY FIRST QUESTION IN THE  
10 SECOND SLIDE WAS THE INTENT TO REINVENT THE WHEEL  
11 AND IS IT ACTUALLY NECESSARY.

12 DR. PRIETO: WELL, I THINK VARYING DEGREES  
13 OF SUCCESS BECAUSE SOME OF THE CONSENT THAT'S  
14 OBTAINED I HAVE BIG CONCERNS ABOUT. I MEAN PEOPLE  
15 SIGN A FORM AND THAT FORM INCLUDES ALL THAT  
16 INFORMATION, BUT REALLY IN A WAY IN A FORM THAT'S  
17 UNINTELLIGIBLE TO MOST PEOPLE.

18 DR. PECKMAN: I COULD NEVER DISAGREE WITH  
19 THAT. ON THE OTHER HAND, WHEN YOU TAKE IN THE  
20 NUMBER OF TENS OF THOUSANDS OF CONSENT FORMS  
21 APPROVED BY IRB'S IN THIS COUNTRY, THAT I THINK  
22 YOU'D BE HARD-PRESSED TO SAY THE MAJORITY OF THEM  
23 FALL IN THAT CATEGORY.

24 DR. CHARO: I'D LIKE TO GET BACK TO  
25 SOMETHING YOU SAID AT THE VERY BEGINNING AND YOU

## BARRISTERS' REPORTING SERVICE

1 JUST REITERATED STEVE. AND THAT IS ABOUT NOT  
2 REINVENTING THE WHEEL BECAUSE I APPRECIATE VERY MUCH  
3 THE KIND OF OVERVIEW THAT YOU GAVE OF THE GENERAL  
4 ISSUES, BUT CERTAINLY CIRM IS NOT INTERESTED IN  
5 TRYING TO SOLVE THE GENERAL PROBLEMS OF HUMAN  
6 SUBJECTS RESEARCH. RIGHT. AND THERE WERE POINTS IN  
7 YOUR PRESENTATION WHERE YOU WERE TRYING TO PULL OUT  
8 THOSE THINGS THAT ARE EITHER UNIQUE TO STEM CELL  
9 CLINICAL TRIALS OR AT LEAST ESPECIALLY DIFFICULT.  
10 I MEAN YOU POINTED OUT, FOR EXAMPLE, THE SPECIAL  
11 CONFLICT OF INTEREST RULES REGARDING EGG DONATION.

12 BEING ABLE TO HAVE A KIND OF SYNTHESIZED  
13 LIST, I MEAN A QUICK LIST OF THOSE THINGS THAT ARE  
14 EITHER UNIQUE OR ESPECIALLY DIFFICULT IN STEM CELL  
15 RESEARCH WOULD BE VERY HELPFUL BOTH TO FOCUS OUR  
16 ATTENTION TO THOSE THINGS THAT ARE APPROPRIATE FOR  
17 CIRM AND ALSO POTENTIALLY TO FOCUS OUR ATTENTION ON  
18 SOLUTIONS OTHER THAN BUREAUCRATIC SOLUTIONS.

19 FOR EXAMPLE, MICHAEL KALICHMAN MADE A  
20 POINT EARLY ON THAT SOME OF THESE INTERVENTIONS ARE  
21 IRREVERSIBLE, AND THAT POSES A SPECIAL PROBLEM IN  
22 MANAGING RISKS. AND YET WE KNOW THAT AT LEAST FOR  
23 SOME SUBSET OF THE INTERVENTIONS, THERE ARE PEOPLE  
24 ACTIVELY WORKING ON WAYS SPECIFICALLY TO REVERSE  
25 THEM. FOR EXAMPLE, BIOENGINEERING THE CELLS BEFORE

## BARRISTERS' REPORTING SERVICE

1     TRANSPLANT SO THAT THEY ARE NOT ACTIVE EXCEPT IN THE  
2     PRESENCE OF A DRUG THAT CAN PENETRATE THE  
3     BLOOD-BRAIN BARRIER AND THEN YOU GIVE THE SUBJECT  
4     THE DRUG.  AND AS SOON AS YOU SEE THAT THERE'S A  
5     PROBLEM OR IT'S SECRETING TOO MUCH, YOU STOP THE  
6     DRUG AND NOW THE CELLS ARE NO LONGER FUNCTIONING.

7             SO HERE, INSTEAD OF IT BEING AN INFORMED  
8     CONSENT PROBLEM OR AN IRB PROBLEM, IT'S ACTUALLY A  
9     TECHNICAL PROBLEM OF HOW TO SCIENTIFICALLY MANAGE  
10    THE RISK.  SO --

11            DR. PECKMAN:  IT'S A RISK-BENEFIT  
12    QUESTION.

13            DR. CHARO:  BUT IT'S A WAY OF ACTUALLY NOT  
14    TRYING TO PUSH IT ALL INTO THE SOCIAL ISSUE OF HOW  
15    DO YOU RECRUIT AND HOW DO YOU INFORM, BUT RATHER TO  
16    ACTUALLY ASK HOW CAN WE ACTUALLY REDUCE THE RISKS BY  
17    FOCUSING OUR SCIENTIFIC RESEARCH AND OUR MEDICAL  
18    RESEARCH ON THESE IDENTIFIED AREAS THAT ARE  
19    ESPECIALLY TRICKY WITH STEM CELLS.  THERE'S NO  
20    QUESTION HERE SO MUCH AS JUST AN OBSERVATION BECAUSE  
21    I FEAR THAT WE MAY VEER OFF INTO A LAND THAT GOES  
22    BEYOND THE MANDATE OF CIRM OR OF THIS PARTICULAR  
23    SUBCOMMITTEE IF WE'RE NOT CAREFUL OURSELVES BECAUSE  
24    ALL THESE ISSUES ARE VERY INTERESTING.

25            DR. PECKMAN:  I THINK THERE ARE TWO POINTS

## BARRISTERS' REPORTING SERVICE

1 I HOPE YOU LEAVE WITH BEFORE YOU GO CHECK OUT OF THE  
2 HOTEL. ONE IS THAT IT'S REALLY UNNECESSARY TO  
3 REINVENT THE WHEEL THOUGH IT MAY BE HELPFUL TO  
4 OPTIMIZE THE WHEEL, GREASE THE WHEEL.

5 TWO IS THAT THERE'S A RISK-BENEFIT  
6 ANALYSIS THAT NEEDS TO TAKE PLACE AT ALL STEPS. AND  
7 CLEARLY, AS ALTA WAS OUTLINING, IT IS POSSIBLE TO  
8 SCIENTIFICALLY MINIMIZE RISK. AND THAT'S WHERE WE  
9 SHOULD START. BECAUSE TO DO IT POSTFACTO ISN'T  
10 GOING TO BE VERY HELPFUL. AND ALL THE STUFF I'M  
11 GOING TO GET INTO AFTER THE BREAK IS ALL GOING TO  
12 HAPPEN AFTERWARDS. AND SO THE IDEA THAT WE CAN  
13 CREATE SOME KIND OF KILLER CELL OR A DRUG THAT WILL  
14 TURN OVER THE CELL, WHICH A LOT OF PEOPLE ARE DOING.

15 IN FACT, THERE ARE MANY INSTITUTIONS  
16 ACROSS THE COUNTRY AND IN THIS WORLD WHO ARE DEALING  
17 WITH IMMUNITY PROTOCOLS WHERE THEY'RE GOING TO  
18 MODIFY GENETICALLY SOME CELLS. THEY'RE GOING TO  
19 GIVE IT TO PEOPLE, AND THROUGH MONITORING THROUGH  
20 THINGS LIKE PET SCAN, THEY IDENTIFY METABOLIC  
21 CHANGES HAPPENING. THEY HAVE A PROCESS IN WHICH  
22 THEY CAN STOP THE CELL. THERE WE'VE TOTALLY  
23 MINIMIZED THE RISK OF X, THOUGH THERE MAY BE SMALL  
24 RISKS OF Y AND Z THAT GO BEFORE X OCCURS. SO THIS  
25 IS ALL PART OF THE SCIENTIFIC PROCESS THAT HAS TO

## BARRISTERS' REPORTING SERVICE

1 HAPPEN PRIOR TO THE POINT WHERE A PROJECT IS  
2 APPROVED FOR THE ENROLLMENT OF SUBJECTS.

3 CHAIRMAN LO: JEFF, LAST COMMENT.

4 MR. SHEEHY: WELL, TWO. ONE AGAIN  
5 THINKING ABOUT THIS MEDICAL CARE, IF WE DO IMPROVE  
6 THE STANDARD OF CARE, AND IT MAY ACTUALLY BE  
7 NECESSARY, AT LEAST TALKING ABOUT THE TYPES OF  
8 INTERVENTIONS THAT DR. DOBKIN WAS TALKING ABOUT  
9 YESTERDAY IN ORDER TO HAVE PEOPLE SUCCEED OR HAVE A  
10 REASONABLE CHANCE OF SUCCESS, WE'VE INDUCED PEOPLE  
11 TO ENTER INTO THE TRIAL. IF PART OF BEING IN THIS  
12 TRIAL -- AND WHEN YOU DESCRIBE A POPULATION THAT MAY  
13 NOT HAVE ACCESS TO GOOD HEALTHCARE, IN SOME PARTS OF  
14 CALIFORNIA THE ACTUAL PATIENT POPULATION WE'RE  
15 TRYING TO ADDRESS AS A CONTEXT FOR BEING ABLE TO  
16 EVEN SUCCEED IN THIS TRIAL, YOU HAVE TO HAVE A  
17 DRAMATICALLY IMPROVED STANDARD OF CARE, THEN YOU'VE  
18 JUST BEEN INDUCED TO PARTICIPATE IN THIS TRIAL.

19 THAT'S SOMETHING WE'VE SEEN IN TRIALS IN  
20 THE DEVELOPING WORLD WHERE PEOPLE BASICALLY COME  
21 INTO A TRIAL SIMPLY BECAUSE THAT'S THEIR ONLY ACCESS  
22 TO HEALTHCARE, AND SO THEY'RE HAPPY TO PARTICIPATE.

23 THE SECOND PROBLEM -- THE SECOND QUESTION  
24 I HAVE IS IN TERMS OF REINVENTING THE WHEEL. IRB'S  
25 SEEM TO BE THE REAL BACKBONE. WHAT ABOUT WHEN IRB'S



## BARRISTERS' REPORTING SERVICE

1 HAD DIFFERENT STANDARDS? YOU KNOW, GENE THERAPY  
2 TRIALS FOR HIV. YOU AT UCLA HAD A DIFFERENT  
3 STANDARD FOR INCLUSION THAN AT UCSF, AND WE ONLY  
4 TOOK PEOPLE WHO WERE IN SALVAGE THERAPY. THAT MAY  
5 HAVE CHANGED. WE MAY HAVE EASED. AND YOU TOOK  
6 PEOPLE WHO ARE RELATIVELY HEALTHY. IS THAT A GOOD  
7 THING? IS THAT A BAD THING? DO WE MIND DIVERSITY?  
8 DO WE WANT TO MAKE THEM UNIFORM? I MEAN I CAN ARGUE  
9 EITHER WAY ON THAT POINT.

10 DR. PECKMAN: YOU MADE THE POINT. YOU CAN  
11 ARGUE IT EITHER WAY. AND CERTAINLY WELL-INFORMED,  
12 KNOWLEDGEABLE, AND THOUGHTFUL IRB'S MAY COME TO  
13 DIFFERENT CONCLUSIONS. BECAUSE THEY'RE DIFFERENT  
14 CONCLUSIONS DOESN'T NECESSARILY MEAN THEY'RE WRONG  
15 ON EITHER SIDE.

16 THAT BEING SAID, IT MAY BE AN OPPORTUNITY  
17 TO THINK ABOUT THE SCIENCE OF THE PROTOCOL AND HOW  
18 IT'S STRUCTURED BECAUSE IF IT IS DEEMED APPROPRIATE  
19 THAT THERE BE MORE RESTRICTED INCLUSION, THEN IT  
20 SEEMS LIKE THAT A UNIFORM PROTOCOL WOULD BE THE CALL  
21 OF THE DAY.

22 MR. SHEEHY: WE'RE GOING TO BE DEALING  
23 WITH MUCH MORE COMPLEX THINGS THAN HIV MEDICINE, AND  
24 IT'S JUST DIFFERENT PERCEPTIONS OF RISK.

25 DR. PECKMAN: WELL, WE'LL BE DEALING WITH

**BARRISTERS' REPORTING SERVICE**

1 HIV MEDICINE IN A CELL TECHNOLOGY FORM.

2 MR. SHEEHY: I MEAN SOME OF THESE OTHER  
3 DISEASES, I MEAN IT REALLY IS A PERCEPTION OF RISK.  
4 IS THAT OKAY THAT WE HAVE DIFFERENT PERCEPTIONS OF  
5 RISK AT TWO VERY RESPECTABLE INSTITUTIONS? WE'RE  
6 NOT TALKING ABOUT WHAT MAY BE A LITTLE COWBOY ON THE  
7 INDUSTRY SIDE. WE PRAY THAT THOSE IRB'S ARE GOOD.

8 DR. PECKMAN: WE'RE NOT TALKING ABOUT  
9 COWBOYS. WHAT WE'RE TALKING ABOUT IS THE  
10 FLEXIBILITY WITHIN A RESEARCH DESIGN THAT ALLOWS FOR  
11 THAT BROAD SPECTRUM OF INCLUSION. MY QUESTION IN  
12 RESPONSE TO THAT IS IS THAT AN APPROPRIATE DESIGN?

13 MR. SHESTACK: IN THE VERY BEGINNING WHEN  
14 YOU WERE TALKING ABOUT YOUR IRB MAKEUP, YOU DIDN'T  
15 MENTION IS IT TYPICAL TO HAVE STAKEHOLDERS WHO  
16 AREN'T NECESSARILY MEDICAL EXPERTS ON IRB PANELS?

17 DR. PECKMAN: YES. IRB IS REQUIRED TO  
18 HAVE A MINIMUM OF FIVE PEOPLE, THIS IS FEDERAL  
19 REGULATIONS, THEY HAVE TO HAVE PEOPLE WITH  
20 SUFFICIENT SCIENTIFIC EXPERTISE TO EVALUATE THE  
21 PROTOCOLS. YOU HAVE TO HAVE AT LEAST ONE  
22 NONSCIENTIST, AND YOU HAVE TO HAVE AT LEAST A  
23 NONAFFILIATED MEMBER.

24 MR. SHESTACK: DOESN'T NECESSARILY MEAN A  
25 STAKEHOLDER THOUGH.

**BARRISTERS' REPORTING SERVICE**

1 DR. PECKMAN: NO, IT DOES NOT. I CAN TELL  
2 YOU THAT MANY IRB'S DO INCLUDE STAKEHOLDERS.

3 MR. SHESTACK: I THINK OF IT ONLY -- AND  
4 MAYBE SOMETHING WILL NOT COME UP FOR A LONG TIME  
5 WITH US, BUT I THINK ABOUT IT ONLY IN TERMS OF  
6 VULNERABLE POPULATIONS, PARTICULARLY CHILDREN,  
7 PARTICULARLY WHERE THERE HAVE BEEN VAST DIFFERENCES  
8 IN IRB APPROACHES AS TO WHAT IS A REASONABLE RISK OR  
9 WHAT IS CONSIDERED INVASIVE WHEN ACQUIRING  
10 PARTICULARLY NONAFFECTED CHILDREN FOR SOMETHING AS  
11 SEEMINGLY BENIGN TO, SAY, A THROAT SWAB FOR A THROAT  
12 CULTURE.

13 AND THEN YOU HAVE A POINT OF VIEW OF  
14 PARENTS WHO MAY HAVE A CHILD WHO IS VERY ILL WHO MAY  
15 SAY THIS CHILD IS -- YES, THIS CHILD DOES NOT HAVE A  
16 LIFE-THREATENING DISEASE, BUT THEY HAVE A LIFE  
17 SENTENCE, AND THEY WOULD EXPECT -- THEY WOULD ACCEPT  
18 A MUCH HIGHER DEGREE OF RISK THAN SOMEONE WHO  
19 DOESN'T HAVE THAT DAY-TO-DAY CARE.

20 THESE ARE NOT ISSUES WE'RE GOING TO DEAL  
21 WITH ACTUALLY IMMEDIATELY LOOKS LIKE ON ANY OF OUR  
22 THINGS, BUT IT IS SOMETHING TO THINK ABOUT IN HAVING  
23 A BROADER REPRESENTATION OF THOSE KINDS OF  
24 STAKEHOLDERS WHEN IT COMES TIME TO MAKE THOSE KINDS  
25 OF DECISIONS.

## BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: OKAY. LET'S TAKE A  
2 15-MINUTE BREAK AND GET CHECKED OUT. SO WE WILL  
3 COME BACK -- IT'S ABOUT 11:15 NOW -- LET'S COME BACK  
4 AT 11:30. THANKS VERY MUCH, STEVE.

5 (A RECESS WAS TAKEN.)

6 CHAIRMAN LO: OKAY. WE'RE GOING TO HAVE A  
7 LITTLE MODIFICATION IN THE SCHEDULE. I THINK WE'RE  
8 HAVING A TERRIFIC DISCUSSION ON IMPORTANT ISSUES.  
9 I'M GOING TO INTERRUPT STEVE'S PRESENTATION AND ASK  
10 INSU HYUN TO COME IN AND SPEAK NEXT. HE'S ASSOCIATE  
11 PROFESSOR OF BIOETHICS AT CASE WESTERN RESERVE, WHO  
12 IS THE CHAIR OF THE ISSCR, INTERNATIONAL SOCIETY FOR  
13 STEM CELL RESEARCH ETHICS AND POLICY COMMITTEE. AND  
14 HE CO-CHAIRING A RECENT PUBLICATION FROM ISSCR WHICH  
15 IS REALLY A SET OF INTERNATIONAL CONSENSUS  
16 RECOMMENDATIONS, GUIDELINES, ON THE CONDUCT OF  
17 CLINICAL TRIALS WITH STEM CELLS.

18 SO I'M GOING TO HAVE HIM GO NEXT, AND THEN  
19 COME BACK TO STEVE'S, THE REST STEVE'S PRESENTATION  
20 A LITTLE BIT LATER. SO INSU, WE'RE TRYING TO GET  
21 YOUR SLIDES. OH, HE DOESN'T HAVE SLIDES. HE'S A  
22 PHILOSOPHY PROFESSOR AND WILL SPEAK WITHOUT SLIDES.  
23 SO THANKS. WE'RE GLAD TO HAVE YOU HERE AND WE'RE  
24 GLAD TO GIVE YOU WARM SUNNY WEATHER AS A BREAK FROM  
25 CLEVELAND.

## BARRISTERS' REPORTING SERVICE

1 DR. HYUN: SO I'M FROM CLEVELAND, AND WHAT  
2 I WILL DO TO GET SOME WARM WEATHER.

3 OKAY. WELL, THANK YOU, EVERYBODY, FOR  
4 HAVING ME HERE. I DO LIKE THE ANALOGY OF THE WHEEL  
5 AND WHETHER WE NEED TO REINVENT IT OR NOT. MY VIEW  
6 IS THAT WE DON'T NEED TO REINVENT THE WHEEL, BUT IT  
7 MAY NEED SOME ADDITIONAL SPOKES. I'M A CYCLIST AND  
8 WHEN I ADD SPOKES, YOU OBVIOUSLY ADD STRENGTH; BUT  
9 IF YOU ADD TOO MANY SPOKES, YOU END UP WOBBLING THE  
10 WHEEL AND YOU LOSE CONTROL AND CRASH. SO THE TRICK  
11 IS KNOWING HOW MANY SPOKES TO ADD WHERE AND WHETHER  
12 WE NEED THESE ADDITIONAL SPOKES.

13 THERE'S A DIFFICULT BALANCE BETWEEN  
14 WANTING TO BE STEM CELL SPECIFIC IN MOVING FORWARD  
15 THE POLICY AND AVOIDING WHAT I CALL UNWARRANTED STEM  
16 CELL EXCEPTIONALISM. WHEN WE DRAFTED THESE ISSCR  
17 GUIDELINES, IT WAS DIFFICULT SOMETIMES TO KNOW WHERE  
18 THAT RIGHT BALANCE IS. THIS IS THE PROCESS THAT  
19 TOOK 13 MONTHS. THERE WERE COMMITTEE MEMBERS, 30  
20 COMMITTEE MEMBERS, FROM 13 DIFFERENT COUNTRIES, AND  
21 THERE WAS A WIDE RANGE OF EXPERTISE REPRESENTED IN  
22 THIS GROUP. WE HAD PEOPLE -- WE HAD CLINICIANS, WE  
23 HAD TRANSPLANT SPECIALISTS, WE HAD STEM CELL  
24 BIOLOGISTS, PEOPLE IN THE REGULATORY BACKGROUND,  
25 BIOETHICISTS, PEOPLE WHO HAD EXPERIENCE IN GENE

## BARRISTERS' REPORTING SERVICE

1 TRANSFER RESEARCH, AND EVERYBODY BROUGHT THEIR OWN  
2 PARTICULAR PERSPECTIVE TO THIS TASK.

3 AND I CAN'T EMPHASIZE THIS ENOUGH. I  
4 DON'T BELIEVE THAT THE GUIDELINES DOCUMENT IS SOLELY  
5 THE PRODUCT OF THIS TASK FORCE. THE REASON FOR THAT  
6 IS THAT WE HAD A PERIOD OF PUBLIC COMMENT WHERE WE  
7 ACTUALLY VERY SPECIFICALLY SENT THE DOCUMENT, THE  
8 DRAFT DOCUMENT, TO VARIOUS INTERNATIONAL GROUPS,  
9 SUCH AS A GROUP IN AUSTRALIA THAT'S SORT OF THE FDA  
10 EQUIVALENT, THE FDA HERE IN THE UNITED STATES, WE  
11 SENT IT TO CIRM, WE SENT IT TO LOTS OF VARIOUS  
12 PEOPLE INTERNATIONALLY WHO HAVE THEIR OWN REGULATORY  
13 OPINIONS TO COME TO BEAR ON IT.

14 SO THE RESULT OF THIS COLLABORATIVE  
15 PROCESS, NOT ONLY WITHIN THE MULTIDISCIPLINARY GROUP  
16 THAT MADE UP THE TASK FORCE, BUT TO ASK THE OPINIONS  
17 OF PEOPLE ON THE DRAFT DOCUMENT WITH PEOPLE  
18 REPRESENTING ALL THESE VARIOUS REGULATORY SCHEMES IN  
19 EUROPE, ETC., AND JAPAN. WE ENDED UP WITH A  
20 DOCUMENT THAT I THINK REALLY SPEAKS TO WHAT ARE  
21 CONSIDERED TO BE THE BEST SORT OF FOUNDATIONAL  
22 PRINCIPLES BEFORE WE MOVE FORWARD.

23 NOW, AFTER AGREEING TO TAKE ON THE ROLE OF  
24 CO-CHAIR OF THIS TASK FORCE, IT QUICKLY DAWNED ON ME  
25 AND TO THE OTHER MEMBERS OF THE TASK FORCE HOW

## BARRISTERS' REPORTING SERVICE

1 ENORMOUS THE TASK WAS. I THINK IF IT DAWNED ON US  
2 BEFORE WE AGREED, WE MAY NOT HAVE AGREED TO DO IT.  
3 SO WHAT I'M ABOUT TO SAY NOW IS SORT OF SOMETHING  
4 THAT I'VE BEEN MULLING OVER ON MY OWN FOR THE LAST  
5 FEW MONTHS AFTER WE'VE COMPLETED THE DOCUMENT. AND  
6 THIS JUST KIND OF GIVES YOU AN IDEA OF WHAT I THINK  
7 ARE THE COMPLEXITIES.

8 SO IMAGINE IF YOU HAVE THIS ENORMOUS  
9 MATRIX OR GRID OF ALL DIFFERENT COMBINATIONS.  
10 CELL-BASED THERAPIES IS SORT OF A PLACEHOLDER FOR  
11 THIS ENORMOUS GRID. WE HAVE DIFFERENT CELLS OF  
12 ORIGIN, WHETHER PLURIPOTENT OR MULTIPOTENT. WE HAVE  
13 ONE LEVEL OF DIFFERENCE AS WELL WHICH IS WHETHER  
14 THEY'RE GENETICALLY MODIFIED OR MINIMALLY  
15 MANIPULATED OR MORE THAN MINIMALLY MANIPULATED.  
16 AMONG THE PEOPLE WHO WERE ADVISORS FOR THE TASK  
17 FORCE WERE REPRESENTATIVES OF THE FDA. AND  
18 ACCORDING TO THE FDA'S BIOLOGIC DIVISION, THEY  
19 DEFINE MINIMALLY MANIPULATED CELLS AS CELLS IN  
20 NONPROLIFERATING CULTURE CONDITIONS TYPICALLY FOR  
21 LESS THAN 48 HOURS.

22 SO WE HAVE MINIMALLY MANIPULATED, WE HAVE  
23 MORE THAN MINIMALLY MANIPULATED, GENETICALLY  
24 MODIFIED, WE HAVE A FULL ARRAY FOR EACH OF THESE  
25 CELLS OF ORIGIN. AND THEN YOU HAVE THE NEXT LEVEL.

## BARRISTERS' REPORTING SERVICE

1 YOU SEE THIS TREE IS BRANCHING OUT AS WE GO FURTHER,  
2 FURTHER DOWN ALL THE DIFFERENT POSSIBILITIES. WE  
3 HAVE AUTOLOGOUS VERSUS ALLOGENEIC TRANSPLANTATION.  
4 AUTOLOGOUS IS YOU GET THE CELLS FROM THE DONOR AND  
5 YOU PUT IT BACK INTO THE DONOR USING THE PERSON'S  
6 CELLS. ALLOGENEIC IS YOU GET FROM ONE PERSON, PUT  
7 IT IN ANOTHER PERSON.

8 EACH OF THESE COMBINATIONS HAS DIFFERENT  
9 RISK ASSESSMENTS. WE ALSO HAVE, THEN, AFTER THAT  
10 WHETHER IT'S FOR HOMOLOGOUS USE OR NONHOMOLOGOUS  
11 USE. HOMOLOGOUS USE WOULD BE PUTTING THE CELLS BACK  
12 INTO THE SYSTEM FROM WHICH THEY WERE DERIVED, AND  
13 NONHOMOLOGOUS WOULD BE PUTTING THESE CELLS INTO A  
14 SYSTEM THAT'S DIFFERENT FROM THE SYSTEM FROM WHICH  
15 THEY WERE DERIVED, LIKE BONE MARROW CELLS TO THE  
16 BRAIN WOULD BE NONHOMOLOGOUS.

17 WE HAVE WHETHER THE ADMINISTRATION OF THE  
18 CELLS WOULD BE SYSTEMIC OR WHETHER IT WILL BE  
19 LOCALIZED; AND ONCE IT'S LOCALIZED, WHETHER IT WILL  
20 BE USED IN COMBINATION WITH A MEDICAL DEVICE, WHICH  
21 SOME PROPOSALS HAVE BEEN OUT THERE SUCH AS THE  
22 HEART, WHETHER YOU CAN HAVE AN ACTUAL VIOLATION TO  
23 YOUR DEVICE. SO ALL THESE CREATE AN ENORMOUS ARRAY  
24 OF DIFFERENT POSSIBLE COMBINATIONS, EACH OF THEM  
25 INCREASING IN LEVEL OF UNCERTAINTY AND RISK.



## BARRISTERS' REPORTING SERVICE

1 SO WHEN WE TALK ABOUT CELL-BASED THERAPIES  
2 AND GUIDELINES FOR THE TRANSLATIONAL RESEARCH TO  
3 CELL-BASED THERAPIES, WE'RE TALKING ABOUT AN  
4 ENORMOUS ARRAY, AN ENORMOUS RANGE OF POSSIBILITIES.  
5 SO WE KNEW THAT WE COULDN'T GO THROUGH EACH SINGLE  
6 ONE OF THESE. I MEAN WE WOULD END UP WITH A  
7 DOCUMENT THAT'S HUNDREDS OF PAGES LONG, AND IT WOULD  
8 BE QUICKLY OUTDATED IN THE NEXT FEW MONTHS.

9 SO WHAT WE HAD TO DO, WHAT WE HAD TO AGREE  
10 UPON WAS THAT WE WERE GOING TO JUST HAVE A GENERAL  
11 SET OF PRINCIPLES AND IDENTIFY WHAT WE THINK ARE THE  
12 STEM CELL ISSUES GOING FORWARD IN THIS FIELD.

13 NOW, SURPRISINGLY, GIVEN THE PLURALITY OF  
14 PEOPLE'S BACKGROUNDS AND ALSO INTERNATIONAL  
15 BACKGROUNDS ON THIS COMMITTEE, IT WAS VERY  
16 PLEASANTLY SURPRISING TO ME THAT THERE WAS REALLY  
17 QUITE A LOT OF AGREEMENT IMMEDIATELY ON MANY OF  
18 THESE POINTS. AND THAT WAS A PLEASANT SURPRISE TO  
19 ME HAVING WORKED ON SIMILAR TYPES OF COMMITTEES.  
20 TYPICALLY YOU GET MIRED DOWN IN SOME REALLY  
21 INTRACTABLE DISAGREEMENTS, WHETHER THEY'RE  
22 CULTURALLY BASED OR WHATEVER. SO WE SAW VERY LITTLE  
23 OF THAT WHICH WAS INTERESTING. AND WHAT WAS  
24 INTERESTING, HEARING THE DISCUSSIONS SO FAR TODAY,  
25 IS SIMILAR CONCERNS AND THEMES KEPT COMING UP.

## BARRISTERS' REPORTING SERVICE

1 SO WHAT I WANT TO RELATE TO YOU NOW IS  
2 WHAT I'M HEARING IN THIS ROOM IS VERY SIMILAR TO THE  
3 KINDS OF CONCERNS THAT THE INTERNATIONAL TASK FORCE  
4 HAD ALSO RAISED. SO THAT'S ENCOURAGING. I'M  
5 HEARING SIMILAR CONCERNS, AND IT'S NOT LIKE THE  
6 GROUPS WOULD BE COMPLETELY DISPARATE IN TERMS OF  
7 WHAT THEIR ORDER OF PRIORITY AND CONCERNS MIGHT BE.

8 SO THE ONE AREA THAT DID HAVE QUITE A BIT  
9 OF LIVELY DISCUSSION AND I THINK IN THE END WE ENDED  
10 UP HAVING SOME KIND OF GENERAL AGREEMENT ABOUT WAS  
11 WHETHER OR NOT IT WOULD BE APPROPRIATE TO HAVE A  
12 STATEMENT SAYING THAT IT'S ACCEPTABLE IN SOME  
13 LIMITED CIRCUMSTANCES FOR CELL-BASED INTERVENTIONS  
14 TO BE ATTEMPTED OUTSIDE THE CONTEXT OF A CLINICAL  
15 TRIAL. SO MEDICAL INNOVATION VERSUS CLINICAL TRIAL.  
16 AND THE REASON FOR THAT IS THAT WE HAD PEOPLE ON THE  
17 COMMITTEE WHO SAID ON THE ONE HAND YOU WANT  
18 EVERYTHING TO GO THROUGH CLINICAL TRIALS. CLINICAL  
19 TRIAL IS THE BEST WAY TO PROCEED FORWARD. AND WE  
20 HAD OTHERS FROM THE TRANSPLANT COMMUNITY, LET'S SAY,  
21 WHO WOULD SAY THINGS LIKE, LOOK, IF WE WEREN'T  
22 ALLOWED TO HAVE ANY MEDICAL INNOVATION OUTSIDE THE  
23 CONTEXT OF A CLINICAL TRIAL, WE WOULDN'T HAVE A LOT  
24 OF THE SURGICAL INNOVATIONS THAT WE HAVE TODAY.

25 IN FACT, I SAW A RECENT STATISTIC THAT IT

## BARRISTERS' REPORTING SERVICE

1 WAS LIKE MORE THAN 50 PERCENT, UP TO LIKE 60, 70  
2 PERCENT OF SURGICAL PRACTICES TODAY DEVELOP OUTSIDE  
3 THE CONTEXT OF A CLINICAL TRIAL.

4 NOW, LET'S GO BACK TO THE MATRIX I TOLD  
5 YOU ABOUT. OKAY. SO IT DEPENDS ON WHETHER OR NOT  
6 THE CELL-BASED INTERVENTION IS VERY SIMILAR TO A  
7 SURGICAL INTERVENTION, LOCAL ADMINISTRATION,  
8 AUTOLOGOUS TRANSPLANTATION, ETC., ETC. AND SO I  
9 THINK YOU NEED TO BE AWARE THAT EARLY ON IN THE  
10 PROCESS, DEPENDING ON WHAT KIND OF CELL-BASED  
11 INTERVENTION YOU'RE TALKING ABOUT, IT MAY BE MORE  
12 APPROPRIATE TO GO ALONG THE LINES OF SORT OF AN  
13 ETHICALLY ROBUST DEVELOPMENT OF MEDICAL INNOVATION  
14 VERSUS A CLINICAL TRIAL BECAUSE THERE ARE ADVANTAGES  
15 AND DISADVANTAGES TO GOING DOWN EACH TRACK.  
16 CLINICAL TRIALS TAKE A LONG TIME. AND THEY'RE  
17 PROBABLY NOT AMENABLE TO THE KIND OF CONDITIONS SOME  
18 OF YOU HAVE BEEN TALKING ABOUT WHICH WERE THE REAL  
19 SEVERE CASES WHERE LIMITED OPTION IS AVAILABLE FOR  
20 THE PATIENT.

21 IF YOU GO DOWN THE CLINICAL TRIALS ROUTE,  
22 THE DISADVANTAGE THERE IS, WELL, LIMITED ACCESS.  
23 THEN YOU GET INTO ISSUES OF COMPASSIONATE USE, ETC.  
24 AND THE PERSON IS PROBABLY NOT GOING TO SURVIVE.

25 NOW, WHAT'S INTERESTING TO ME ABOUT THE

## BARRISTERS' REPORTING SERVICE

1 MEDICAL INNOVATION ROUTE IS THAT THE ETHOS IS VERY  
2 DIFFERENT FROM THE INVESTIGATOR IN THE CLINICAL  
3 TRIALS ROUTE. BECAUSE IN THE CLINICAL TRIALS ROUTE,  
4 YOU HAVE TO HAVE CLINICAL EQUIPOISE GOING FORWARD.  
5 BUT IN THE MEDICAL INNOVATION ROUTE, NORMALLY FOR  
6 THOSE CASES, THE CLINICIAN BELIEVES IN THEIR HEART  
7 OF HEARTS THAT THIS MIGHT HELP THE PATIENT. IN THE  
8 MEDICAL INNOVATION ROUTE, THE GOAL IS TO HELP THE  
9 PATIENT WHO'S UNDER SOME VERY DESPERATE  
10 CIRCUMSTANCES. SO PATIENT CARE IS THE GOAL THERE;  
11 WHEREAS, FOR CLINICAL RESEARCH, IT'S MAYBE A SIDE  
12 EFFECT OR SORT OF A HAPPY COINCIDENCE IF THAT  
13 HAPPENS. BUT THE GOAL THERE IS COMPLETELY DIFFERENT  
14 FROM THE GOAL THAT YOU SET FORTH FOR MEDICAL  
15 INNOVATION.

16 SO I ENCOURAGE YOU TO KIND OF BE OPEN TO  
17 THINKING WHEN ARE WE ONLY TALKING ABOUT CLINICAL  
18 RESEARCH AND WHEN, IF AT ALL, WOULD YOU ALLOW FOR  
19 FUNDING FOR RESPONSIBLE MEDICAL INNOVATION. HOW  
20 WOULD WE KNOW WHAT CATEGORY TO PUT SOME OF THESE  
21 PROPOSALS?

22 DR. WAGNER: I HAVE ABSOLUTELY NO IDEA, AN  
23 EXAMPLE OF SOMETHING THAT WOULD NOT BE CONSIDERED  
24 RESEARCH. OR MY INITIAL RESPONSE IS IS THAT, QUOTE,  
25 CLINICAL CARE IS NOT REALLY CLINICAL CARE. BUT,

## BARRISTERS' REPORTING SERVICE

1 AGAIN, I MIGHT BE MISSING SOMETHING. BUT IN THE  
2 CONTEXT OF STEM CELL THERAPIES, I CANNOT THINK OF A  
3 SINGLE EXAMPLE THAT WOULD BE OUTSIDE THE CONTEXT OF  
4 A CLINICAL TRIAL.

5 DR. HYUN: OKAY. FINE. WELL, YOU KNOW,  
6 THE KIND OF INTERVENTIONS THAT WE HAD IN MIND FOR  
7 THE ISSCR DOCUMENT WAS RATHER BROAD. SO WHAT WE  
8 TALKED ABOUT IS WHAT THE DOCUMENT COVERS ARE  
9 RESEARCH OR INTERVENTION INVOLVING PLURIPOTENT STEM  
10 CELLS AND THEIR PRODUCTS, THE USE OF SO-CALLED ADULT  
11 OR SOMATIC STEM CELLS IN NOVEL WAYS OR FETAL TISSUE  
12 IN NOVEL WAYS, AND THE USE OF HEMATOPOETIC OR OTHER  
13 STEM CELLS THAT ARE USED CURRENTLY IN CLINICAL CARE  
14 OUTSIDE OF THEIR CLINICAL CARE CONTEXT.

15 SO I MEAN THIS IS A DEBATE. THIS WAS THE  
16 LIVELY DISCUSSION WE WERE HAVING IS COULD THERE EVER  
17 BE A CIRCUMSTANCE WHERE SOMEBODY WOULD USE A  
18 PLURIPOTENT OR EMBRYONIC STEM CELL-DERIVED PRODUCT  
19 AS A MEDICAL INNOVATION? AND I THINK THE GROUP WAS  
20 GENERALLY UNDER THE AGREEMENT THAT NO. BUT WHAT  
21 ABOUT THE OTHER FORMS, TAKING BLOOD STEM CELLS AND  
22 USING THEM IN OTHER WAYS FOR CERTAIN CONDITIONS? SO  
23 ALL OF THIS IS GOING TO BE CONTEXT SPECIFIC.

24 BUT ANYWAY, THE DISCUSSION WAS ON THE  
25 TABLE. THAT WAS THE ONE. THAT ISSUE WAS THE ONE IN

## BARRISTERS' REPORTING SERVICE

1 WHICH THERE WAS THE MOST FRICTION. BUT WHAT WE  
2 ENDED UP DECIDING WAS THAT WE'RE GOING TO HAVE A  
3 SEPARATE SECTION OF THE GUIDELINES THAT IS OUTSIDE  
4 OF THE CLINICAL RESEARCH ENVIRONMENT AND SAYING IF  
5 ONE WERE TO DO MEDICAL INNOVATION OUTSIDE OF A  
6 CLINICAL TRIAL, HERE ARE SOME GUIDELINES FOR THAT  
7 BECAUSE THERE ARE BAD WAYS TO DO IT AND MAYBE SOME  
8 GOOD WAYS TO DO IT, AND HERE'S POSSIBLY A GOOD WAY  
9 TO DO IT.

10 DR. WAGNER: I'M STILL SKEPTICAL. BUT  
11 THEN IF IT'S NOT A CLINICAL TRIAL, THEN THE FUNDING  
12 SHOULD COME FROM INSURANCE COMPANIES AND SHOULD BE  
13 CONSIDERED CONVENTIONAL MEDICAL PRACTICE. SO  
14 THEREFORE, IF YOU EVER WISH TO REPORT IT, YOU KNOW,  
15 IF NOTHING ELSE BECAUSE MAYBE THERE ARE EXAMPLES,  
16 BUT I AM SKEPTICAL, MAYBE THERE ARE EXAMPLES, IN  
17 WHICH CASE THEN, IF THERE'S ANY QUESTION WHATSOEVER,  
18 THEN IT SHOULD BE JUST AT LEAST REVIEWED WITH THE  
19 IRB'S TO DETERMINE WHETHER OR NOT IT'S APPROPRIATE  
20 TO BE A CLINICAL TRIAL OR NOT. BUT I JUST CAN'T  
21 THINK OF ANY EXAMPLE.

22 I THINK THAT WHAT YOU'RE SAYING WHEN  
23 YOU'RE HEARING FRICTION, BUT THAT'S BECAUSE YOU KNOW  
24 IT'S A LOT OF WORK GOING TO THE IRB AND IT'S A LOT  
25 OF WORK WRITING AN IND. I'M NOT SURE IN THE CONTEXT

## BARRISTERS' REPORTING SERVICE

1 OF STEM CELL THERAPEUTICS THAT THERE'S AN EXAMPLE.

2 DR. HYUN: THOSE WHO WOULD ARGUE THE OTHER  
3 SIDE WOULD SIMPLY POINT THAT, WELL, THERE COULD BE  
4 THOSE REALLY DIRE CIRCUMSTANCES THAT PEOPLE WERE  
5 TALKING ABOUT, ABOUT THE EXTREMELY SERIOUS CASES FOR  
6 WHICH THE PATIENT HAS EXHAUSTED ALL ACCEPTABLE  
7 ALTERNATIVES.

8 DR. WAGNER: NO. BUT THEN THAT TAKES US  
9 DOWN TO THE PATH OF WHAT WE SEE OTHER COUNTRIES  
10 DOING THAT WE'VE ALL BEEN TALKING ABOUT SAYING IT  
11 MAKES US UNCOMFORTABLE, YOU KNOW, WHERE IT BECOMES  
12 THEN ALMOST A RELIGIOUS EVENT OF SAYING WE SO TRULY  
13 BELIEVE THIS IS GOING TO WORK WHEN, IN FACT, WE  
14 DON'T REALLY KNOW IT.

15 WHAT SURPRISES ME IS THAT THIS IS ALMOST A  
16 ROLE REVERSAL. I SHOULD BE UP THERE SAYING THIS AND  
17 YOU SHOULD BE DOWN HERE.

18 DR. READ: YOU'RE THE FOUNDER OR  
19 TRANSPLANTER. THEY'RE THE COWBOYS.

20 DR. HYUN: OKAY. OKAY. FAIR ENOUGH.

21 SO LET ME GO ON. IF WE'RE TALKING ABOUT A  
22 HUGE ARRAY OF POSSIBLE OPTIONS AND MANY DIFFERENT  
23 CELL TYPES AND DIFFERENT RISKS ASSOCIATED WITH THE  
24 LEVEL OF MANIPULATION AND NOVELTY OF THE CELL  
25 PRODUCT OR EXPERIENCE WITH THE CELL PRODUCT, ETC.,

## BARRISTERS' REPORTING SERVICE

1 THEN DOES THIS CALL ON US TO HAVE AN EXTRA SPOKE IN  
2 THE WHEEL. SO THE WHEEL IS THE IRB SYSTEM, RIGHT,  
3 FOR CLINICAL TRIALS. AND SO WHAT WE AGREED WAS THAT  
4 IT MAY NOT BE DESIRABLE TO SET UP A WHOLE OTHER  
5 REVIEW PROCESS SEPARATE FROM THE IRB ALMOST LIKE A  
6 RACK SYSTEM, RECOMBINANT DNA ADVISORY BOARD OR  
7 COMMITTEE FOR STEM CELLS.

8 SO WHAT WE EVENTUALLY AGREED UPON WAS THAT  
9 THERE OUGHT TO BE FOR THE IRB, OR WHAT WE CALL A  
10 HUMAN SUBJECTS REVIEW PROCESS, SUPPLEMENTAL STEM  
11 CELL-SPECIFIC EXPERTISE TO ASSESS ALL THE STEM  
12 CELL-SPECIFIC ISSUES THAT THE IRB MAY NOT BE  
13 EQUIPPED TO DO. AND, YOU KNOW, OF COURSE, THIS  
14 FOLLOWS CURRENT PRACTICE ON IRB'S TO HAVE OUTSIDE  
15 CONSULTANTS COME IN ON PROTOCOLS FOR WHICH THERE'S  
16 NO PARTICULAR STRENGTH OF EXPERTISE ON THE IRB  
17 ITSELF.

18 NOW, THE DOCUMENT ITSELF IS, I HAVE TO  
19 ADMIT, PEOPLE LOOK AT THE DOCUMENT AND THEY SAY,  
20 WOW, IT TOOK YOU GUYS 13 MONTHS TO DO THAT. IT'S  
21 NOT A LOT THERE. AND SO WHAT I WANT TO IMPRESS UPON  
22 YOU IS THAT ACTUALLY WE WENT THROUGH A LOT OF  
23 DISCUSSION. AND WHAT'S INTERESTING TO ME IS WHAT WE  
24 DECIDED TO LEAVE OUT.

25 SO THERE WAS A POINT AT WHICH THE DOCUMENT



## BARRISTERS' REPORTING SERVICE

1 WAS EXTREMELY LONG, AND IT WAS THIS PROCESS OF  
2 TRYING TO FIGURE OUT EXACTLY WHAT DO WE WANT THIS  
3 THING TO DO. AND WHAT WAS INTERESTING WAS WE WENT  
4 THROUGH THIS SORT OF PROCESS OF DISCOVERY OR GROWTH  
5 OF THE COMMITTEE WHERE INITIALLY PEOPLE REALLY  
6 WANTED TO DICTATE A LOT OF SPECIFICS ABOUT CULTURE  
7 CONDITIONS, ABOUT PROOF OF PRINCIPLE, ANIMAL  
8 MODELING, ALL OF THAT, AND WE REALIZED THAT'S REALLY  
9 NOT GOING TO BE VERY PRODUCTIVE, AND IT'S NOT WITHIN  
10 THE ISSCR COMMITTEE'S RESPONSIBILITY OR AUTHORITY TO  
11 DO SOMETHING LIKE THAT. WE THOUGHT THAT KIND OF  
12 FINE-GRAINED DETAIL HAS TO BE WORKED OUT THROUGH THE  
13 FDA OR THROUGH OTHER AGENCIES THAT ARE EQUIPPED TO  
14 DO THAT KIND OF THING AND HAVE THE REGULATORY  
15 AUTHORITY TO FOLLOW THROUGH.

16 SO WHAT WE ENDED UP WITH WERE THESE MORE  
17 GENERAL PRINCIPLES. AND, OF COURSE, GUIDELINES ARE  
18 NOT THE SAME AS REGULATIONS. GUIDELINES SET UP  
19 ASPIRATIONAL GOALS OR GENERAL PERFORMANCE STANDARDS,  
20 AND REGULATIONS ARE GOING TO BE THOSE MORE DETAILED  
21 RULES FOR WHICH THERE'S COMPLIANCE OR NONCOMPLIANCE  
22 AND THERE'S SOME WAY TO -- THERE'S SOME SANCTION  
23 AGAINST PEOPLE WHO BREAK THE REGULATIONS.

24 SO I'M GOING TO RETURN TO WHAT I THINK THE  
25 GUIDELINES DOCUMENT, HOW THAT RELATES TO YOUR GROUP

## BARRISTERS' REPORTING SERVICE

1 NEAR THE END OF MY LITTLE PRESENTATION. BUT THE  
2 CLINICAL TRANSLATION PROCESS WE KNOW HAS SEVERAL  
3 STAGES, AND SO WHAT WE DECIDED TO DO WAS TO DIVIDE  
4 OUR ENTIRE TASK FORCE OF 30 PEOPLE INTO THESE  
5 SUBCOMMITTEES TO ADDRESS EACH OF THE VARIOUS STAGES  
6 OF THE PROCESS WE HAD, SO ALL THE PROCESSING AND  
7 MANUFACTURING, PRECLINICAL STUDIES, WE HAD CLINICAL  
8 RESEARCH, AND WE HAD SOCIAL JUSTICE.

9 AND, OF COURSE, FOR EACH STEP OF THE  
10 PROCESS, THE MANUFACTURING, PRECLINICAL, AND  
11 CLINICAL, I DON'T WANT TO IMPLY THAT IT'S ALL GOING  
12 TO BE UNIDIRECTIONAL. OFTEN IN TRANSLATIONAL  
13 RESEARCH YOU GET CLINICAL RESEARCH THAT INFORMS  
14 MANUFACTURING OR THAT INFORMS THE PRECLINICAL  
15 STUDIES, WHERE THERE'S SORT OF A FEEDBACK LOOP BUILT  
16 IN. BUT I JUST WANT TO JUST FLAG A FEW THINGS THAT  
17 WE THOUGHT WERE STEM CELL SPECIFIC.

18 NOW, IN THE AREA OF MANUFACTURING, ONE OF  
19 THE KEY RECOMMENDATIONS THAT LEAPS OUT FOR ME THAT'S  
20 RELEVANT FOR OUR DISCUSSION TODAY IS THAT THERE  
21 NEEDS TO BE -- A COMMON THEME IS THERE NEEDS TO BE  
22 MORE COLLABORATION ALONG THE WAY DURING THE  
23 TRANSLATION PROCESS.

24 HERE'S ONE. IN THE MANUFACTURING, OUR  
25 RECOMMENDATION WAS, AMONG MANY RECOMMENDATIONS IN

## BARRISTERS' REPORTING SERVICE

1 THAT SECTION, WAS THAT REGULATORS AND SCIENTISTS  
2 NEED TO COLLABORATE TO COME UP WITH COMMON REFERENCE  
3 STANDARDS FOR MINIMALLY ACCEPTABLE CHANGE OF THE  
4 CELLS BECAUSE CELLS IN CULTURE WILL UNDERGO CHANGE  
5 OVER TIME. AND A LOT OF PEOPLE TALK ABOUT TOXICITY  
6 IN TERMS OF A TUMOR GROWTH POTENTIAL OR TUMORICITY,  
7 BUT SOMETIMES THEY NEGLECT THE FACT THAT CELLS IN  
8 CULTURE MAY ACCRUE MUTATIONS OR GENOMIC CHANGES THAT  
9 COULD ALSO BE HARMFUL -- PRODUCED HARMFUL EFFECTS.

10 SO COMMON REFERENCE STANDARDS. I MEAN  
11 THERE DOESN'T SEEM TO BE ANYTHING LIKE THAT TO KNOW  
12 EXACTLY WHAT HAVE THE REGULATORS AND THE SCIENTISTS  
13 AGREED BECAUSE REGULATORS AREN'T ALWAYS IN A  
14 POSITION TO KNOW WHAT THE SCIENTIFIC FACTS ARE AND  
15 THE SCIENTISTS ARE NOT THE REGULATORS. SO THAT'S  
16 ONE KEY RECOMMENDATION.

17 IN THE AREA OF PRECLINICAL STUDIES, WE  
18 NOTED THAT IT WOULD BE SHORTSIGHTED OF THE ISSCR TO  
19 SAY THAT WE HAVE TO HAVE, FOR EVERY CELL-BASED  
20 CLINICAL TRIAL TO GO FORWARD IN HUMANS, WE HAVE TO  
21 HAVE ANIMAL MODELING BECAUSE IT DEPENDS. IT DEPENDS  
22 ON THE DISEASE TO BE STUDIED AND WHETHER THERE ARE  
23 ACCEPTABLE ANIMAL MODELS FOR THAT CLINICAL CONDITION  
24 AND TISSUE PHYSIOLOGY.

25 SO JUST TAKE PARKINSON'S DISEASE, FOR

## BARRISTERS' REPORTING SERVICE

1 EXAMPLE. A LOT OF PEOPLE THINK WE NEED GOOD ANIMAL  
2 MODELS OF PARKINSON'S DISEASE. WELL, OKAY, IF YOU  
3 HAVE A RAT MODEL FOR PARKINSON'S DISEASE, YOU MAY  
4 HAVE A RAT THAT CIRCLES A CERTAIN NUMBER OF TIMES IN  
5 A TIME INTERVAL, BUT THAT'S NOT HOW PATIENTS BEHAVE  
6 WITH PARKINSON'S. THAT'S JUST A PROXY FOR SORT OF  
7 THE SYMPTOMS OF PARKINSON'S.

8 AND WHAT'S REALLY OF INTEREST TO  
9 PARKINSON'S DISEASE RESEARCHERS IS WHETHER OR NOT WE  
10 CAN SLOW DOWN OR STOP THE PROGRESSION OR THE  
11 DEGENERATION OF THE PATIENT'S CONDITION. SO YOU  
12 WOULD HAVE TO HAVE AN ANIMAL MODEL THAT MIMICS THAT  
13 PROGRESSION OR DEGENERATION, RIGHT. AND I'M NOT  
14 SURE IF WE CAN ACTUALLY CREATE ONE LIKE THAT.

15 SO WE DIDN'T WANT TO SAY YOU HAVE TO, FOR  
16 EVERY SINGLE CELL-BASED THERAPY TO GO FORWARD, HAVE  
17 TO HAVE ANIMAL MODELS BECAUSE IT DEPENDS. IT  
18 DEPENDS. AND DO YOU HAVE TO HAVE A LARGE ANIMAL  
19 MODEL? AGAIN, IT DEPENDS. SO YOU SEE THAT GIVEN  
20 THE ENORMOUS ARRAY OF POSSIBLE INTERVENTIONS, YOU  
21 REALLY HAVE TO TAKE IT ON A CASE-BY-CASE BASIS. SO  
22 THE FRAMEWORK HAS TO BE SUCH THAT IT'S FLEXIBLE TO  
23 DEVELOPMENTS IN THE FIELD, IT'S FLEXIBLE TO A  
24 PARTICULAR DISEASE THAT INVESTIGATORS WANT TO STUDY  
25 AND THEIR APPROACH.

## BARRISTERS' REPORTING SERVICE

1           WHEN YOU ARE PERFORMING CELL CULTURES IN  
2 ANIMAL STUDIES IN PRECLINICAL RESEARCH, OUR OTHER  
3 RECOMMENDATION IS THAT THESE CELL CULTURES IN ANIMAL  
4 STUDIES SHOULD BE USED TO TEST INTERACTION WITH  
5 DRUGS THAT THE RECIPIENT IS EXPECTED TO TAKE. DRUG  
6 INTERACTION IS ANOTHER MAJOR RECOMMENDATION.

7           NOW, WHEN WE GET TO THE CLINICAL SIDE,  
8 CLINICAL RESEARCH SIDE, I'M NOT GOING TO REPEAT MUCH  
9 OF THE STUFF THAT I'VE HEARD THIS MORNING BECAUSE I  
10 THINK THE PRESENTERS DID A VERY GOOD JOB SORT OF  
11 LAYING OUT WHAT SOME OF THE KEY ISSUES ARE THERE.  
12 BUT A FEW THINGS THAT I WANT TO FLAG THAT MAY BE  
13 RELEVANT FOR YOUR THINKING ABOUT HOW YOU MIGHT WANT  
14 TO SET UP THE REQUIREMENTS FOR INFORMED CONSENT FOR  
15 CLINICAL RESEARCH.

16           ONE IS WHO GETS TO CONDUCT THE INFORMED  
17 CONSENT INTERVIEW? JUST TO TAKE SOME OF THE  
18 SUGGESTIONS LIKE APPLEBAUM, WHICH IS ONE OF THE  
19 AUTHORS NOTED BY PREVIOUS PRESENTERS. APPLEBAUM  
20 EVEN GOES SO FAR AS TO SUGGEST THAT THE PERSON WHO  
21 CONDUCTS THE INFORMED CONSENT INTERVIEW NOT BE A  
22 MEMBER OF THE RESEARCH TEAM, MIGHT EVEN WEAR A  
23 DIFFERENT COAT, A RED COAT OR ORANGE COAT, SOMETHING  
24 TO SAY, LOOK, I'M DIFFERENT AND I REPRESENT -- I  
25 DON'T REPRESENT ANY OF THE INTERESTS OF RESEARCH

## BARRISTERS' REPORTING SERVICE

1 TEAM.

2 SOMETHING THAT HASN' T REALLY BEEN EXPLORED  
3 VERY MUCH IS THE FACT THAT SOME OF THESE CLINICAL  
4 TRIALS MAY OCCUR IN PEOPLE WHO DON' T HAVE THE  
5 CAPACITY TO CONSENT, THE CHILDREN OR INCAPACITATED  
6 OLDER ADULTS. SO I THINK YOU HAVE TO THINK VERY  
7 CAREFULLY ABOUT WHAT REQUIREMENTS WE SHOULD HAVE FOR  
8 SURROGATES SO MAKE THESE DECISIONS ON BEHALF OF  
9 OTHERS WHO WILL UNDERGO THE EXPERIMENT.

10 WHAT WAS EMPHASIZED AGAIN AND AGAIN BY THE  
11 MEMBERS OF OUR COMMITTEE WAS THAT THERE HAS TO BE A  
12 CLEAR AND TIMELY AND EFFECTIVE PLAN FOR ADVERSE  
13 EVENT REPORTING AND SOME CLINICAL PLAN IN PLACE TO  
14 PROVIDE TREATMENT FOR ADVERSE EVENTS.

15 IN LISTENING TO SOME OF THE DEBATE THAT  
16 WAS HAPPENING BACK AND FORTH THIS MORNING ABOUT  
17 WHETHER OR NOT INVESTIGATORS ARE REALLY GOING TO  
18 HAVE THE RIGHT MOTIVATION TO DO THIS, A THOUGHT  
19 OCCURRED IN MY MIND OF I' M SKEPTICAL OF EVEN TRYING  
20 TO PUT THE ONUS ON THE INVESTIGATOR TO BE  
21 FORTHCOMING WITH THIS DATA. I THINK IF YOU HAVE AN  
22 ACCESS PLAN IN PLACE THAT YOU REQUIRE, AND IF PEOPLE  
23 ACTUALLY ARE HARMED, THEY' RE GOING TO BE TREATED,  
24 RIGHT. AND SO THERE COULD BE A WAY IN WHICH THE  
25 REPORTING HAPPENS FROM NOT THE INVESTIGATOR

## BARRISTERS' REPORTING SERVICE

1 SELF-REPORTING, BUT THROUGH THIS SORT OF MONITORING  
2 SYSTEM OF CARE PROVIDERS OR OTHER PEOPLE WHO ARE  
3 BETTER SET UP TO LOOK AFTER THE PATIENT'S PERSONAL  
4 INTEREST AND NOT THE RESEARCH TEAM WHICH DOESN'T  
5 NECESSARILY HAVE THE PATIENT'S PERSONAL INTEREST  
6 FOREMOST IN MIND.

7 NOW, A MAJOR ISSUE THAT NOBODY REALLY  
8 COULD COME UP WITH A CLEAR RECOMMENDATION ON WAS HOW  
9 LONG DO YOU MONITOR THE PATIENTS OR THE PARTICIPANTS  
10 OF THE RESEARCH. AND WHAT COUNTS AS AN ADVERSE  
11 EVENT. HOW WOULD YOU KNOW THAT THAT'S RELATED TO  
12 THE STEM CELL-BASED INTERVENTION? SO A LOT OF  
13 PEOPLE WHO UNDERGO -- WHO WOULD UNDERGO THESE TRIALS  
14 ARE SERIOUSLY ILL, AND THEY ARE LIKELY TO GET WORSE  
15 AND WORSE. SO HOW COULD YOU EXACTLY ATTRIBUTE THE  
16 SO-CALLED LATER ADVERSE EVENT OR DETERIORATION TO  
17 SPECIFICALLY THE CELL-BASED THERAPY? THAT MIGHT BE  
18 A GOOD ARGUMENT FOR HAVING THE SHAM GROUP.

19 I'M GOING TO SKIP OVER SOME OF THE THINGS  
20 I HAD PLANNED BECAUSE I WANT TO GET ON TO  
21 DISCUSSION. BUT YOU WILL NOTICE THAT ONE OF THE  
22 FOUR MAJOR SECTIONS OF THE GUIDELINES DOCUMENT HAD  
23 TO DO WITH SOCIAL JUSTICE. AND I HAVE TO SAY OF THE  
24 INTERNATIONAL GROUP, THIS SECTION RESONATED QUITE  
25 DEEPLY WITH THE MEMBERS OF THE COMMITTEE WHO WERE

## BARRISTERS' REPORTING SERVICE

1 FROM EUROPE WHERE I THINK SOLIDARITY AND SOCIAL  
2 JUSTICE IS KIND OF A LITTLE BIT MORE FOREFRONT IN  
3 THEIR NATIONAL CONSCIOUSNESS.

4 AND DURING THE PUBLIC REVIEW PROCESS,  
5 PUBLIC COMMENT PROCESS, THE COMMENTATORS FROM EUROPE  
6 WERE ESPECIALLY HAPPY THAT WE HAD THAT SECTION IN  
7 THERE. AND I THINK IT'S KIND OF AN INTERESTING  
8 COMMENT ON CULTURES, BUT THE MOST PUSHBACK WE GOT  
9 WERE FROM THE AMERICANS. SURPRISE.

10 SO I JUST WANTED TO POINT OUT ONE THING  
11 ABOUT THE SOCIAL JUSTICE SECTION, AND THAT WAS I  
12 THINK A KEY RECOMMENDATION WE HAD THERE AND ONE THAT  
13 YOU MIGHT TRY TO TAKE TO HEART IN YOUR OWN APPROACH  
14 IS THAT WE REALLY ENCOURAGED THE DEVELOPMENT AND THE  
15 ASSESSMENT OF ALTERNATIVE MODELS OF INTELLECTUAL  
16 PROPERTY, LICENSING, PRODUCT DEVELOPMENT, AND PUBLIC  
17 FUNDING TO PROMOTE FAIR AND BROAD ACCESS TO STEM  
18 CELL-BASED THERAPIES. I THINK THAT CIRM IS IN THE  
19 UNIQUE POSITION TO COME UP WITH SOME OF THOSE  
20 CREATIVE METHODS, AND I WOULD ENCOURAGE YOU TO DO  
21 THAT.

22 SO IN SUMMARY, THE GUIDELINES ARE SUPPOSED  
23 TO REPRESENT A BASIC FOUNDATION OF AGREED UPON  
24 CONSENSUS PRINCIPLES AT LEAST AMONG THOSE  
25 REPRESENTING THE ISSCR AND THOSE WHO PARTICIPATED IN



## BARRISTERS' REPORTING SERVICE

1 THE PUBLIC COMMENT PROCESS.

2 NOW, IT'S UP TO PEOPLE LIKE YOU TO COME UP  
3 WITH -- TO BRING THOSE GUIDELINES DOWN TO EARTH AND  
4 TO COME UP WITH REGULATIONS AT THE LOCAL LEVEL,  
5 ENFORCEABLE ONES. WE HAVE THE FOUNDATION. NOW YOU  
6 GOT TO BUILD THE HOUSE. YOU GOT TO BUILD A HOUSE  
7 THAT'S GOING TO BE SPECIFIC TO SORT OF THE  
8 INSTITUTIONAL CLIMATE THAT YOU FIND YOURSELVES IN  
9 HERE.

10 ONE WAY TO -- MY FURTHER RECOMMENDATION  
11 IS, GIVEN THE ENORMOUS ARRAY OF POSSIBLE  
12 INTERVENTIONS AND APPROACHES AND CELLS TO BE USED  
13 AND WHAT'S DONE TO THE CELLS, AT THE MORE LOCAL  
14 LOCAL LEVEL AS YOU GO FURTHER FURTHER DOWN FROM  
15 HEAVEN AND CLOSER TO EARTH, I'VE HEARD ABOUT THESE  
16 DISEASE GROUPS THAT YOU'RE THINKING ABOUT. I THINK  
17 THAT'S AN EXCELLENT WAY TO GO IN TERMS OF  
18 COLLABORATIONS THAT NEED TO BE IN PLACE AND WHAT  
19 NEEDS TO BE THOUGHT THROUGH IN TERMS OF ANIMAL  
20 MODELING SPECIFIC TO THAT DISEASE STATE.

21 SO I THINK THAT YOU, YOU, CIRM, ARE IN A  
22 VERY INTERESTING POSITION BECAUSE I'VE HEARD ALSO  
23 TODAY SORT OF A LOT OF COMMENT ON HOW VARIOUS ACTORS  
24 BEHAVE IN THE CURRENT SITUATION, HOW BIOTECH TENDS  
25 TO BEHAVE IN PROTECTING THEIR INTELLECTUAL PROPERTY,

## BARRISTERS' REPORTING SERVICE

1 ETC. BUT IN SOME WAYS I DON'T KNOW IF THIS IS JUST  
2 BECAUSE I'M KIND OF COMING IN FROM THE OUTSIDE AND  
3 LOOKING AT WHAT YOU'RE DOING, BUT YOU HAVE TO  
4 REALIZE YOU'RE THOUGHT LEADERS IN THIS AREA. AND  
5 IT'S SORT OF UP TO YOU TO SHAPE THE ENVIRONMENT THAT  
6 WILL CHANGE HOW SOME OF THESE ACTORS BEHAVE.

7 SO I THINK I DIDN'T GET THE IMPRESSION  
8 THAT YOU REALLY APPRECIATED ENOUGH THE INFLUENCE  
9 YOU'RE ACTUALLY GOING TO HAVE, NOT JUST IN  
10 CALIFORNIA, BUT I'M TALKING ABOUT INTERNATIONALLY  
11 BECAUSE PEOPLE WILL LOOK TO WHAT CALIFORNIA HAS DONE  
12 AND THAT WILL BE A PRECEDENT.

13 SO I CONGRATULATE YOU IN THINKING  
14 PROACTIVELY ABOUT THESE ISSUES, BUT I THINK YOU NEED  
15 TO ALSO BE AWARE THAT YOU'RE IN A POSITION OF  
16 TREMENDOUS INFLUENCE AND THAT YOU TAKE THAT  
17 RESPONSIBILITY TO HEART. OKAY. SO I THINK I WANT  
18 TO JUST STOP THERE AND JUST CONTINUE ON WITH THE  
19 DISCUSSION.

20 CHAIRMAN LO: THANKS VERY MUCH. WHY DON'T  
21 YOU STAY BECAUSE I THINK WE'RE GOING TO HAVE  
22 QUESTIONS DIRECTED TO YOU. ALTA, I KNOW YOU HAD  
23 ONE. WHY DON'T YOU GO FIRST.

24 DR. CHARO: THANKS VERY MUCH, INSU. I  
25 WANTED TO RETURN YOU TO SOMETHING YOU TALKED ABOUT

**BARRISTERS' REPORTING SERVICE**

1 TOWARD THE BEGINNING AND WHERE, IN FACT, JOHN HAD  
2 PICKED UP ON IT ABOUT THE PHENOMENON OF MEDICAL  
3 INNOVATION OUTSIDE OF A CLINICAL TRIAL. AND IT'S A  
4 TRICKY BUSINESS HERE BECAUSE, OF COURSE, TO THE  
5 EXTENT YOU ARE WORKING WITH MANIPULATED TISSUES IN A  
6 WAY THAT TRIGGERS FDA OVERSIGHT, YOU'RE FORCED INTO  
7 CLINICAL TRIALS.

8 BUT WHEREVER THERE IS SOME LOOPHOLE, SOME  
9 OPENING FOR MEDICAL INNOVATION, I WAS WONDERING HAS  
10 ANYBODY STARTED TO THINK ABOUT THE INTERPLAY BETWEEN  
11 THAT AND THE UPCOMING EMPHASIS ON COMPARATIVE  
12 EFFECTIVENESS RESEARCH? BECAUSE IN A SENSE THAT  
13 RESEARCH, WHICH IS GOING TO BE EVIDENCE-BASED  
14 MEDICINE, IS EITHER SOMETHING THAT YOU COULD THINK  
15 OF AS THREATENING ALL THIS MEDICAL INNOVATION  
16 BECAUSE IT'S GOING TO BE SCARY TO DO THESE THINGS  
17 AND THEN BE FOUND OUT LATER. OR YOU COULD THINK OF  
18 IT IN THE ALTERNATIVE AS A SAFETY NET SO THAT YOU  
19 CAN GO AHEAD AND INNOVATE MORE QUICKLY KNOWING THAT  
20 EVENTUALLY THERE'S GOING TO BE A RETROSPECTIVE LOOK.  
21 BUT I'M JUST WONDERING IF ANYBODY HAS STARTED TO  
22 EVEN THINK ABOUT THIS.

23 DR. HYUN: NOT TO MY KNOWLEDGE.

24 DR. CHARO: THERE'S GOING TO BE A TON OF  
25 MONEY ON COMPARATIVE EFFECTIVENESS COMING OUT OF THE

**BARRISTERS' REPORTING SERVICE**

1 FEDERAL GOVERNMENT FROM THE STIMULUS PACKAGE.

2 DR. HYUN: OH, BOY. I'M NOT AWARE OF  
3 ANYBODY.

4 DR. CHARO: I JUST RAISE IT FOR THE RECORD  
5 BECAUSE IT'S SOMETHING WE MIGHT WANT TO THINK ABOUT  
6 TAKING ADVANTAGE OF. THAT MONEY IS GOING TO BE  
7 FLOWING TO THE STATES AND TO THE INSTITUTIONS; AND  
8 IF THERE ARE INNOVATIONS THAT HAVE TAKEN PLACE  
9 BEFORE WE HAD OPTIMAL PROTECTIONS IN PLACE OR  
10 OPTIMAL THINKING ABOUT HOW TO STRUCTURE TRIALS OR  
11 BEFORE THE FDA HAD GOTTEN THOROUGHLY AGGRESSIVE  
12 ABOUT ITS REGULATION HERE, I MEAN THIS IS SOMETHING  
13 WE MIGHT THINK ABOUT TRYING TO IDENTIFY IF THERE'S A  
14 NEED FOR IT IN ANY SPECIFIC AREAS THAT RELATE TO OUR  
15 WORK AND BE ABLE TO PROPOSE RESEARCH THAT COULD BE  
16 FUNDED FOR THAT.

17 CHAIRMAN LO: OTHER COMMENTS, QUESTIONS  
18 FOR INSU? ROB TAYLOR.

19 DR. TAYLOR: YEAH, INSU, I WANTED TO  
20 ACTUALLY FOLLOWING UP A LITTLE BIT ON ALTA'S  
21 COMMENTS. I'M NOT SO SURE WHAT'S GOING TO HAPPEN  
22 UNDER THE MEDICAL INNOVATION MODEL. IT'S A LITTLE  
23 BIT HAZIER; BUT UNDER THE SORT OF MORE TRADITIONAL  
24 CLINICAL TRIALS MODEL, ADVERSE EVENTS, I THINK, WILL  
25 BE REPORTED THROUGH DSMB'S. I THINK THERE ARE

## BARRISTERS' REPORTING SERVICE

1 DEFINITELY -- FOR THESE TYPES OF PROTOCOLS, I CAN'T  
2 IMAGINE THAT THEY'LL GO THROUGH AN IRB WITHOUT AN  
3 ASSIGNED DATA SAFETY MONITORING BOARD IN ADDITION TO  
4 SORT OF A PLAN.

5 SO I WOULD SAY THAT IN THAT SETTING I'M  
6 NOT REALLY PARTICULARLY CONCERNED ABOUT  
7 INVESTIGATORS SORT OF SUBMITTING ADVERSE EVENTS.

8 IN THE MEDICAL INNOVATION MODEL, THAT'S A  
9 LITTLE BIT MORE OF A CONCERN AND MAYBE WOULD  
10 REQUIRE, IF YOU'RE GOING TO GO IN THAT DIRECTION,  
11 AND THAT'S KIND OF AN INTERESTING THOUGHT AND I KIND  
12 OF RAISED THE SORT OF GENERAL QUESTION YESTERDAY  
13 WHEN DOBKIN PRESENTED HIS STUFF, AS TO WHETHER THERE  
14 MIGHT BE A DIFFERENT MODEL OTHER THAN SORT OF A  
15 CLINICAL TRIAL. AND THIS DIDN'T REALLY GET EXPANDED  
16 UPON, BUT YOU'VE SORT OF RAISED IT AGAIN NOW. AND I  
17 WOULD THINK WE'D NEED SOME GOOD TYPE OF ADVERSE  
18 EVENT REPORT.

19 DR. HYUN: MY REASON FOR BRINGING THAT  
20 ISSUE UP WAS, AND I PROBABLY DIDN'T EXPRESS IT VERY  
21 CLEARLY MY MOTIVATION FOR BRINGING THAT UP. I  
22 PROBABLY DIDN'T EXPRESS IT VERY CLEARLY. I'M NOT  
23 MAKING UP HERE ANY KIND OF -- THIS IS WHY I DON'T  
24 THINK WE NEED TO SWITCH PLACES, JOHN. I'M NOT  
25 MAKING ANY SORT OF NORMATIVE PLAN THAT WE OUGHT TO

## BARRISTERS' REPORTING SERVICE

1 GO THAT WAY, BUT IT'S MORE OF ALMOST A FATALISTIC  
2 KIND OF ATTITUDE LIKE IT'S GOING TO HAPPEN. PEOPLE  
3 ARE GOING TO DO IT. CIRM CAN'T PREVENT PEOPLE FROM  
4 TRYING THAT OR FUNDERS CAN'T PREVENT.

5 SO IF IT'S GOING TO HAPPEN, AS SOON AS YOU  
6 SAY SOMETHING, SOMETHING ABOUT HOW YOU THINK IT  
7 SHOULD HAPPEN, IF IT'S GOING TO HAPPEN AT ALL, SOME  
8 PEOPLE DON'T LIKE THAT ATTITUDE. THEY KIND OF FEEL  
9 LIKE SAYING, WELL, THAT'S JUST AS GOOD AS ENDORSING  
10 IT. I DON'T THINK THAT'S NECESSARILY TRUE. BUT I  
11 THINK IT'S SOMETHING TO KIND OF THINK ABOUT BECAUSE  
12 EVERYTHING THAT YOU HEAR ABOUT IN THE NEWS OR EVEN  
13 THE PAPER THAT WAS PUBLISHED ON TUESDAY, IT'S ALWAYS  
14 IN THAT CONTEXT OF SOMEBODY SAYING I'M GOING TO  
15 PROVIDE YOU WITH CARE BECAUSE THERE'S NOTHING ELSE  
16 THAT'S WORKING FOR YOU. COME SEE ME. AND IT'S NOT  
17 RESEARCH AND IT'S PRESENTED IN A VERY DIFFERENT WAY.  
18 IT'S IN A DIFFERENT SORT OF CULTURE, YOU KNOW, OF  
19 PRACTICE OR HOW THE INTERVENTION IS BEING PRESENTED  
20 AND THOUGHT ABOUT.

21 SO I THINK YOU HAVE TO PAY ATTENTION TO  
22 THAT, AND I DON'T KNOW HOW YOU'RE GOING TO ACTUALLY  
23 ADDRESS THAT, BUT I THINK THAT'S WHY WE COULD NOT BE  
24 SILENT ON THAT IN THE ISSCR DOCUMENT. I THINK IT  
25 WOULD HAVE BEEN EVEN WORSE NOT TO SAY ANYTHING

## BARRISTERS' REPORTING SERVICE

1 BECAUSE, WHAT, ALL THE STEM CELL CLINICS ARE USING  
2 THAT SORT OF PHRASING, INNOVATIVE, YOU KNOW,  
3 RESEARCH HAS BEEN SLOWED DOWN IN THE U.S., COME HERE  
4 WHERE WE'RE UNFETTERED AND WE HAVE THE LATEST FOR  
5 YOU, PRAYING ON PATIENT'S HOPES ETC. SO WE COULDN'T  
6 BE SILENT ON THAT.

7 IF WE'RE GOING TO SAY SOMETHING, WHAT ARE  
8 YOU GOING TO SAY? SO I WANTED TO JUST BE A LITTLE  
9 BIT CLEAR. I'M NOT, LIKE, SAYING THAT I'M ENDORSING  
10 THEM; BUT I THINK ANY TIME YOU HAVE A DIALOGUE ABOUT  
11 CLINICAL TRIALS OR TRANSLATIONAL RESEARCH IN SOME  
12 REALLY FRONTIER AREA LIKE THIS WITH EXPECTATIONS, I  
13 THINK IT WOULD BE WRONG TO IGNORE THAT PART OF THE  
14 CONVERSATION.

15 SO I DON'T REALLY KNOW WHAT MY  
16 RECOMMENDATION TO YOU WOULD BE IN TERMS OF POLICY,  
17 BUT I THINK YOU HAVE TO ADDRESS IT BECAUSE PEOPLE  
18 ARE GOING TO COME BACK AND SAY -- I'VE ACTUALLY  
19 REVIEWED PROPOSALS ALONG THE LINES OF, WELL, I THINK  
20 IT SHOULD ALWAYS -- THAT STEM CELL-BASED THERAPIES  
21 OR APPROACHES OR INTERVENTION SHOULD ONLY BE  
22 AVAILABLE IN THE CONTEXT OF A CLINICAL TRIAL.

23 I THINK WHAT'S GOING TO HAPPEN IS ALL  
24 THESE STEM CELL CLINICS ARE GOING TO SAY BE IN OUR  
25 CLINICAL TRIAL. THEY'RE GOING TO JUST REPHRASE IT.

## BARRISTERS' REPORTING SERVICE

1 IT'S NOT GOING TO GET RID OF THE PROBLEM. SO YOU  
2 HAVE TO SORT OF CONFRONT IT AT SOME POINT. I DON'T  
3 KNOW -- I SORT OF IDENTIFIED THE PROBLEM. I DON'T  
4 HAVE A NORMATIVE SOLUTION EXCEPT TO SAY I THINK YOU  
5 HAVE TO SAY SOMETHING.

6 CHAIRMAN LO: LET ME ADD SOME THINGS HERE  
7 FOR JOHN AND ROD AND SORT OF CONTEXT. I WAS A  
8 MEMBER OF INSU'S COMMITTEE AND SORT OF WAS PART OF  
9 THESE DISCUSSIONS. AND JUST TO SORT OF GIVE A  
10 BACKGROUND AND CONTEXT, A STARTING POINT WAS A SENSE  
11 THAT STEM CELLS ARE BEING USED FOR THERAPY IN OTHER  
12 COUNTRIES WITHOUT ANY ATTEMPT TO ASSESS OUTCOMES.  
13 BRUCE DOBKIN TALKED ABOUT THAT A LITTLE BIT.  
14 THERE'S BEEN A COUPLE PAPERS IN *PLOS* MEDICINE ON  
15 THIS.

16 SO WE STARTED OUT WITH A STRONG SENSE THAT  
17 THAT WAS TO BE VERY MUCH DISCOURAGED. THEN YOU HAD  
18 OTHER PEOPLE SAYING, BUT WAIT A MINUTE. IF YOU TAKE  
19 THE EXTREME POSITION THAT EVERYTHING HAS TO GO,  
20 EVERY STEM CELL INNOVATION TO BE IN A CLINICAL  
21 TRIAL, YOU ARE SHUTTING OUT THINGS THAT ARE DONE,  
22 WHICH ARE, I GUESS, JOHN, CORRECT ME IF I GET THE  
23 EXAMPLES WRONG, BUT SORT OF MODIFICATIONS OF CURRENT  
24 ACCEPTED THERAPIES. SO IF YOU'RE DOING AUTOLOGOUS  
25 STEM CELL TRANSPLANTATION, BONE MARROW



## BARRISTERS' REPORTING SERVICE

1     TRANSPLANTATION OR OTHERS, AND YOU'RE MAKING SMALL  
2     MODIFICATIONS IN THE RETRIEVAL PROCESS, OR YOU'RE  
3     SLIGHTLY ENLARGING THE SCOPE OF PERSONS YOU'RE DOING  
4     THE INTERVENTION IN, OFTEN PEOPLE WILL DO THOSE  
5     EXTENSIONS NOT WITH A FORMAL CLINICAL TRIAL, BUT  
6     TRYING IT IN ONE OR TWO PATIENTS.

7             THE ISSCR DOCUMENT SAID THERE'S A LIMIT ON  
8     THE NUMBER OF CASES YOU CAN DO AS THAT SORT OF  
9     INNOVATION. ONCE YOU BEGIN TO SAY, WELL, THIS LOOKS  
10    LIKE IT MAY REALLY WORK, THE THOUGHT WAS YOU SHOULD  
11    THEN PROCEED TO A CLINICAL TRIAL. WE ALSO -- THE  
12    ISSCR ALSO SAID THE CONSENT PROCESS SHOULD MAKE IT  
13    CLEAR THIS IS INNOVATIVE, UNPROVEN THERAPY, AND  
14    THERE'S AN OBLIGATION TO SORT OF ASSESS THE OUTCOME.  
15    SO THAT WAS SORT OF THE DYNAMIC BACK AND FORTH.

16            DR. WAGNER: AGAIN, I CANNOT POSSIBLY  
17    UNDERSTAND THIS CONVERSATION WITHOUT HAVING A  
18    REAL -- I NEED TO HEAR A REAL EXAMPLE OF SOMETHING.  
19    EVEN CHANGING -- WHAT IS THE PURPOSE OF DESIGNING A  
20    CLINICAL TRIAL? IT'S MORE THAN JUST BEING ABLE TO  
21    PUBLISH IT. IT'S MORE THAN COMMUNICATING TO THE  
22    COMMUNITY WHAT YOU'RE DOING. IT'S ALSO A WAY OF  
23    TRYING TO AT LEAST IDENTIFY, IF NOT CONTROL, THE  
24    VARIABLES IN TERMS OF BEING ABLE -- AT THE END OF  
25    THE DAY BEING ABLE TO ASSESS IS THIS DOING

## BARRISTERS' REPORTING SERVICE

1 SOMETHING? HOW CAN YOU POSSIBLY SAY A FEW PATIENTS  
2 AND THEN POSSIBLY DECIDE THAT THAT'S DOING SOMETHING  
3 BENEFICIAL WHEN YOU'VE DONE NOTHING UP FRONT TO TRY  
4 TO CONTROL SOME OF THOSE VARIABLES OR AGAIN AT LEAST  
5 IDENTIFY WHAT THE VARIABLES MIGHT BE IN ADVANCE?

6 NOW, AGAIN, THERE MAY BE EXAMPLES THAT I  
7 CANNOT YET THINK OF. I THINK IT IS TRUE THAT THERE  
8 ARE INSTITUTIONS IN THE UNITED STATES THAT DO THIS  
9 TYPE OF WORK, AND MAYBE IT DOES LEAD TO SOMETHING  
10 BIGGER IN A MORE FORMAL WAY. I'M NOT -- I DON'T  
11 BELIEVE IT'S THE RIGHT THING TO DO. AND AGAIN, I  
12 CAN'T THINK OF A SINGLE EXCEPTION, BUT THERE MIGHT  
13 BE. I JUST CAN'T THINK OF WHAT THEY WOULD BE.

14 DR. HYUN: BY THE WAY, THE OVERALL TONE OF  
15 THE RECOMMENDATIONS IS WE ALWAYS WANTED TO LEAVE  
16 ASSESSMENT DOORS OPEN AS POSSIBLE WITHOUT CAUSING  
17 HARM. SO PEOPLE -- WHAT YOU SAID, I GUESS I'M NOT  
18 SURE EXACTLY WHAT THE EXAMPLE WOULD BE.

19 DR. WAGNER: BUT PURELY SCIENTIFIC POINT  
20 OF VIEW. YOU KNOW, THEN THE BEST YOU'RE HOPING FOR  
21 IS ANECDOTAL INFORMATION THAT REALLY -- AGAIN, AS  
22 MARIE SAID EARLIER, WE WANT TO COLLECT SOME OF THAT  
23 INFORMATION BECAUSE THAT MIGHT PROVIDE US WITH SOME  
24 CLUES, BUT THAT'S NOT REALLY THE WAY OF DOING  
25 SCIENCE. I MEAN THAT'S NOT REALLY THE WAY

## BARRISTERS' REPORTING SERVICE

1 ESPECIALLY WHEN WE' RE TALKING ABOUT A THERAPY THAT  
2 WE SPENT THE FIRST DAY AND A HALF OF THIS MEETING  
3 TALKING ABOUT THIS IS A RISKY BUSINESS.

4 NOW, IN THE CONTEXT OF BONE MARROW  
5 TRANSPLANT, REMEMBER THAT 20 PERCENT OF THE PATIENTS  
6 WILL DIE BEFORE DAY 100 AFTER THE THERAPY. AND,  
7 YES, THIS IS THE STANDARD OF CARE IN MANY PARTICULAR  
8 DISEASES. WE' VE ACCEPTED THAT, BUT THAT' S ALSO IN  
9 THE CONTEXT OF, YOU KNOW, A SPECIFIC TYPE OF PATIENT  
10 POPULATION THAT NOT ONLY HAS NO OTHER ALTERNATIVE  
11 THAT' S CONSIDERED TO BE AS GOOD BECAUSE AT LEAST  
12 THERE' S A KNOWN CURATIVE INTENT, BUT AT THE SAME  
13 TIME WE MIGHT TWEAK THE SYSTEM LIKE YOU WERE  
14 SUGGESTING. THERE COULD BE SOMETHING, BUT NOT  
15 KNOWING EXACTLY WHAT YOU' RE THINKING, EVEN THAT  
16 NEEDS TO BE REREVIEWED SO THAT YOU CAN ASSESS  
17 WHETHER OR NOT THAT TWEAK THAT YOU DID HAS SOME  
18 IMPACT.

19 BUT MOST IMPORTANT -- I THINK WHAT MAKES  
20 BONE MARROW TRANSPLANT IN PARTICULAR A CHALLENGE IS  
21 THAT OFTENTIMES THE ACCEPTED PART OF THE THERAPY IS  
22 ACTUALLY THE RISKIEST PART OF THE THERAPY. AND THAT  
23 THE TWEAKING YOU' VE DONE, WHICH IS THE CLINICAL  
24 TRIAL, MAY HAVE ITS OWN RISK, BUT IT PALES BY  
25 COMPARISON OF THE UNDERLYING THERAPY FOR WHICH IS

## BARRISTERS' REPORTING SERVICE

1 THE STANDARD OF CARE. BUT THAT'S A UNIQUE TWIST TO  
2 ALL THIS. IN ANY CASE, I'M JUST RAMBLING AT THIS  
3 POINT. BUT AGAIN, I DON'T KNOW THAT I CAN IDENTIFY  
4 A SINGLE CIRCUMSTANCE, AND I DON'T KNOW THAT WE  
5 SHOULD EVEN CONSIDER THE POSSIBILITY OF CIRM FUNDING  
6 OR ANYBODY'S FUNDING FOR SOMETHING UNLESS WE COME UP  
7 WITH SOME CONCRETE EXAMPLE AND MAYBE PUT OUR ARMS  
8 AROUND WHAT THIS MIGHT BE.

9 CHAIRMAN LO: JEFF.

10 MR. SHEEHY: THIS IS -- FIRST, I'M NOT  
11 EVEN SURE I REALLY UNDERSTAND THIS AT THIS POINT. I  
12 WONDER IF THIS IS AN ISSUE THAT WE MIGHT SET UP AND  
13 ACTUALLY, IF WE ARE INDEED THOUGHT LEADERS, BECAUSE  
14 IT IS IN THIS DOCUMENT -- I'VE GOT THE DOCUMENT UP  
15 IN FRONT OF ME -- THAT PERHAPS WE SHOULD TAKE A  
16 FORMAL POSITION ON OR THINK ABOUT A LITTLE MORE  
17 DEEPLY BECAUSE GIVEN THE UNIQUE NATURE OF CIRM AND  
18 THE INCREDIBLE SUPPORT FROM THE PATIENT ADVOCACY  
19 COMMUNITY, YOU CAN SEE THIS BEING VERY PROBLEMATIC  
20 AT SOME POINT DOWN THE ROAD IF YOU DO HAVE THIS IDEA  
21 OF MEDICAL INNOVATION AND PEOPLE DOING THIS IN  
22 PLACES WHERE THERE AREN'T THE SAME STANDARDS OF  
23 CLINICAL TRIAL MENTALITY. AND IT MIGHT BE BETTER  
24 FOR US TO ADDRESS THIS BEFORE WE HAVE THE CURE IN  
25 CHINA OR THE CURE IN RUSSIA TO REALLY KIND OF DEFINE

**BARRISTERS' REPORTING SERVICE**

1 THE ISSUE. BECAUSE I DON'T THINK -- THIS HAS JUST  
2 KIND OF BEEN THROWN OUT HERE. WE DON'T HAVE ALL  
3 THAT WE NEED TO KNOW AND IT'S VERY PROVOCATIVE. AND  
4 I WOULD LIKE TO KIND OF GET TO THE BOTTOM OF IT.

5 I AM KIND OF SURPRISED BECAUSE IT DOES  
6 SEEM THOUGH -- MY BEST FRIEND HAD A BABOON MARROW  
7 TRANSPLANT, SO WACKY STUFF DOESN'T NECESSARILY FREAK  
8 ME OUT. I THINK WE MIGHT --

9 CHAIRMAN LO: STEVE PECKMAN.

10 DR. PECKMAN: I'M NOT SURE I ACTUALLY  
11 UNDERSTAND THE CONCEPT OF MEDICAL INNOVATION EITHER  
12 EXCEPT I KNOW IT EXISTS IN THINGS LIKE SURGERY WHERE  
13 SURGERIES ARE MODIFIED IN THE MIDDLE OF A PROCEDURE,  
14 AND YOU COME UP WITH AN INNOVATIVE TECHNIQUE.  
15 NEVERTHELESS, I THINK THAT THE ISSCR DOCUMENT EXISTS  
16 IN A CONTEXT THAT CIRM DOES NOT, WHICH IS IT EXISTS  
17 IN AN INTERNATIONAL CONTEXT WHICH IS TRYING TO  
18 ADDRESS A BROAD INTERNATIONAL AUDIENCE. CIRM BEING  
19 A PART OF THE STATE OF CALIFORNIA, BEING PART OF THE  
20 UNITED STATES OF AMERICA IS SUBJECT TO THE RULES AND  
21 LAWS OF THE COUNTRY, WHICH INCLUDE FDA REGULATION  
22 ABOUT USE OF CELLULAR ARTICLES IN TERMS OF IND'S AND  
23 ETHICS REVIEWS AND EVERYTHING ELSE.

24 AND SO DEPENDING ON THE TYPE OF INNOVATION  
25 THAT WE'RE TALKING ABOUT, AND JOHN AND INSU HAVE

## BARRISTERS' REPORTING SERVICE

1 REALLY ARTICULATED SOME DIFFERENCES THERE, IT MAY  
2 NOT BE POSSIBLE TO ENGAGE IN A LARGE MULTITUDE OF  
3 INNOVATIONS THAT ONE MIGHT WANT TO THAT MAY BE  
4 OCCURRING IN OTHER COUNTRIES. AND SO THERE ARE  
5 ALREADY RESTRICTIONS THAT WE WORK WITHIN THAT ARE  
6 GOING TO ADDRESS A LOT OF THESE ISSUES.

7 NEVERTHELESS, JEFF, I THINK THAT ONE OF  
8 THE THINGS WE DO HAVE TO UNDERSTAND WITHIN THE ISSCR  
9 CONTEXT IS THE CURES ALREADY EXIST IN RUSSIA AND  
10 CHINA, AND THEY'RE BEING ADVERTISED AS EXISTING, AND  
11 WE NEED TO BE AWARE OF THAT AND INCLUDE THAT IN OUR  
12 THINKING. BUT IT MAY NOT BE NECESSARILY SOMETHING  
13 THAT CIRM NEEDS TO BE CONCERNED ABOUT IN CRAFTING  
14 GUIDELINES FOR RESEARCH THAT YOU'RE GOING TO FUND.

15 DR. HYUN: HERE'S HOW IT MIGHT INTERSECT.  
16 SO YOU'RE ABSOLUTELY RIGHT. YOU HAVE A VERY LARGE  
17 DISEASE -- PATIENT ADVOCACY THRUST TO EVERYTHING  
18 THAT CROSSES YOUR MIND. WHAT I'M CONCERNED ABOUT IS  
19 THAT YOU'LL GET PEOPLE WHO SAY, WELL, NOW IF YOU SAY  
20 EVERYTHING SHOULD BE IN THE CLINICAL TRIAL, THEN  
21 WHAT ABOUT COMPASSIONATE USE? YOU WILL GET A LOT OF  
22 PUSH IN THAT DIRECTION. SO I THINK YOU HAVE TO BE  
23 PREPARED TO HAVE SOME STANCE ON WHAT YOU THINK WOULD  
24 BE ACCEPTABLE.

25 IF SOMEONE SAYS, WELL, WHY AREN'T YOU

**BARRISTERS' REPORTING SERVICE**

1 FUNDING MEDICAL INNOVATIONS AND WHY AREN'T YOU  
2 FUNDING THESE OTHER THINGS, YOU HAVE TO HAVE --

3 CHAIRMAN LO: LET ME ASK ALTA TO STEP IN  
4 HERE FOR A MINUTE BECAUSE THE REGULATORY SORT OF  
5 ANSWER TO THIS IS JUST PRETTY CLEAR-CUT RIGHT NOW.

6 DR. READ: I WAS GOING TO SAY WE'RE A  
7 COUNTRY OF LAWS, AND YOU KIND OF HAVE TO FOLLOW THE  
8 LAW. THERE ARE LAWS. SO I MEAN I THINK THAT'S THE  
9 BOTTOM LINE.

10 I WAS JUST GOING TO GIVE AN EXAMPLE OF A  
11 MEDICAL INNOVATION THAT WAS OCCURRING, AND SOME OF  
12 US FOUND OUT ABOUT IT AND HAD A CONFERENCE CALL, AND  
13 THE FDA PERSON FOUND OUT ABOUT IT AND THEY GOT THEIR  
14 LETTER AND GOT SHUT DOWN A FEW MONTHS LATER. IT WAS  
15 A PHYSICIAN IN COLORADO WHO WAS TAKING CELLS OR  
16 CARTILAGE OUT OF PEOPLE'S KNEES AND IT WAS  
17 AUTOLOGOUS, AND HE SAID, WELL, IT'S NOT INTERSTATE  
18 AND IT'S AUTOLOGOUS. BUT WHAT HE WAS DOING IS HE  
19 WAS TAKING THE TISSUE BACK TO THE LAB AND CULTURING  
20 IT AND THEN CREATING A PRODUCT TO PUT BACK IN THESE  
21 PEOPLE'S KNEES.

22 AND YOU MIGHT SAY, WELL, WHAT'S WRONG WITH  
23 THAT? WELL, WHAT'S WRONG WITH THAT IS THAT IT'S  
24 MORE THAN MINIMALLY MANIPULATED. AND SO IT FITS THE  
25 DEFINITION OF A 351 HCTP, AND YOU NEED AN IND, AND

## BARRISTERS' REPORTING SERVICE

1 THEN YOU HAVE TO GET A BIOLOGICS LICENSE  
2 APPLICATION.

3 SO IT DOESN'T MATTER IF IT'S NOT  
4 INTERSTATE TRANSPORT. HE WAS BREAKING THE LAW. AND  
5 SO ACTUALLY THE FDA IS GETTING AFTER HIM NOW, AND I  
6 THINK -- I DON'T KNOW IF THE COMPANY IS SHUT DOWN  
7 YET, BUT IT'S A PROBLEM. YOU JUST CAN'T DO THAT.

8 DR. CHARO: I THINK IT'S REALLY IMPORTANT  
9 TO RECOGNIZE THAT THE FDA'S ACTIONS IN THIS AREA  
10 HAVE BEEN EVOLVING OVER THE LAST 15 YEARS. MANY OF  
11 THE STORIES AND MANY OF THE PRACTICES THAT WE TALK  
12 ABOUT ARE THINGS THAT COME FROM A DECADE OR A DECADE  
13 AND A HALF AGO BEFORE THEY HAD THEIR TISSUE ACCESS  
14 PLAN AND BEFORE THEY MORE AGGRESSIVELY STARTED  
15 INSISTING ON THE USE OF IND'S BEFORE YOU BEGIN DOING  
16 HUMAN CELL THERAPIES IN ANY CONTEXT.

17 AND BECAUSE IN MANY WAYS WE HAVE A  
18 SELF-REPORT SYSTEM IN THE U.S.; THAT IS, I AS A  
19 SURGEON, FOR EXAMPLE, HAVE TO RECOGNIZE THAT WHAT  
20 I'M DOING IS A REGULATED ACTIVITY AND PRESENT MYSELF  
21 TO THE FDA. IT'S ENTIRELY POSSIBLE THERE ARE LOTS  
22 OF SURGEONS STILL DOING THINGS THAT, IN FACT, SHOULD  
23 HAVE GOTTEN AN IND, BUT IT NEVER OCCURS TO THEM.  
24 AND UNLESS THE FDA HEARS ABOUT IT, THEY CAN'T SHUT  
25 THEM DOWN OR MAKE THEM DO IT.



## BARRISTERS' REPORTING SERVICE

1 SO IT'S AN EVOLVING AREA, AND I THINK IT'S  
2 GOING TO NARROW THE WINDOW OF THINGS THAT COULD  
3 POSSIBLY ESCAPE THE CLINICAL TRIAL REQUIREMENT  
4 BECAUSE FOR MOST STEM CELL THERAPIES, AS STEVE AND  
5 MICHAEL WERE TALKING ABOUT, EITHER YOU'RE GOING TO  
6 WIND UP GIVING THEM RADIOACTIVE TAGS OR YOU'RE GOING  
7 TO WIND UP DOING GENETIC ENGINEERING ON THEM OR  
8 YOU'RE GOING TO WIND UP ATTACHING THEM TO SCAFFOLDS.  
9 I MEAN THERE WILL BE ALL SORTS OF MANIPULATIONS.  
10 SETTING ASIDE WHETHER IT'S AUTOLOGOUS AND  
11 HOMOLOGOUS, THEY'LL BE MANIPULATIONS THAT ARE GOING  
12 TO TRIGGER THE FDA'S OVERSIGHT.

13 SO IT'S PROBABLY THAT THERE'S SOME NARROW  
14 WINDOW OF THINGS HERE THAT STILL MIGHT ESCAPE THE  
15 REGULATORY REQUIREMENTS, BUT I THINK IT'S PROBABLY  
16 SHRINKING.

17 DR. WAGNER: NO. NO. NO. BUT WE GET  
18 CORD BLOOD FOR EVERYTHING. CORD BLOOD IS BEING  
19 INJECTED EVERYWHERE YOU CAN THINK OF, AND IT'S NO  
20 MANIPULATION.

21 DR. CHARO: SO IT'S NOT -- SO IT'S ONLY  
22 MINIMALLY MANIPULATED, BUT IT IS NONAUTOLOGOUS.

23 DR. READ: BUT FOR CORD BLOOD.

24 DR. CHARO: AND IT'S HOMOLOGOUS.

25 DR. WAGNER: NO, IT'S NOT HOMOLOGOUS.

## BARRISTERS' REPORTING SERVICE

1 DR. CHARO: MAYBE YOU'RE RUNNING INTO  
2 TROUBLE.

3 DR. READ: ANY CORD BLOOD IS STILL A 361  
4 HCTP, SO EVEN IF YOU DON'T HAVE AN IND, YOU'RE STILL  
5 SUBJECT TO FDA INSPECTIONS OF THE FACILITY THAT'S  
6 PROCESSING IT.

7 DR. CHARO: BUT THAT'S DIFFERENT FROM  
8 REQUIRING AN IND, RIGHT?

9 DR. READ: RIGHT. RIGHT.

10 DR. CHARO: BECAUSE NOW YOU'RE TALKING  
11 JUST PUBLIC HEALTH SERVICE ACT REQUIREMENTS FOR  
12 INFECTION CONTROL.

13 DR. READ: RIGHT.

14 DR. CHARO: RIGHT? NOT THE KIND OF DRUG  
15 CLINICAL TRIAL EFFICACY SAFETY TRIALS.

16 DR. READ: RIGHT.

17 DR. KIESSLING: THERE'S AN UP-AND-COMING  
18 TREATMENT FOR RACEHORSES NOW THAT IF YOUR HORSE  
19 RACE --

20 DR. CHARO: I'M SORRY. FOR WHAT?

21 DR. KIESSLING: FOR RACEHORSES. IF YOUR  
22 RACEHORSE HURTS ITSELF, ITS LEG GETS ONE OF THESE  
23 HAI RLINE FRACTURES OR SOMETHING, THEY'RE DOING A  
24 STERNAL TAP ON THOSE ANIMALS, AND THEY'RE PUTTING  
25 THOSE BONE MARROW CELLS BACK INTO THE SITE OF THE

## BARRISTERS' REPORTING SERVICE

1 INJURY.

2 NOW, I DON'T THINK THAT KIND OF A  
3 PROCEDURE WOULD, UNDER THE CURRENT GUIDELINES, COME  
4 UNDER FDA OVERSIGHT AT ALL.

5 DR. CHARO: IT'S COMPLICATED. THERE IS A  
6 WHOLE SECTION ON ANIMAL DRUGS.

7 DR. READ: THE VETERINARY, YEAH.

8 DR. KIESSLING: NO. NO. NO. BUT I MEAN  
9 IF IT WORKS, IF YOUR RACEHORSE NOW WINS THE NEXT  
10 RACE, THE NEXT TIME AN ATHLETE HURTS HIS ANKLE --

11 DR. TAYLOR: THEY'RE GOING TO WANT TO TRY  
12 IT.

13 DR. KIESSLING: -- THEY'RE GOING TO WANT  
14 TO TRY IT. AND I'M NOT SURE -- IT'S AWFUL. I'M NOT  
15 SURE IT SHOULDN'T BE TRIED, BUT I DON'T THINK THAT'S  
16 GOING TO COME UNDER FDA PURVIEW.

17 DR. CHARO: I THINK THE LONG AND SHORT OF  
18 IT IS THAT YOU'VE GOT THE RIGHT PERSON ON YOUR STAFF  
19 BECAUSE YOU NEED AN FDA GEEK WORKING FULL TIME WITH  
20 CIRM, AND APPARENTLY YOU'VE GOT ONE BECAUSE WE'RE  
21 THE DUELING GEEKS OVER HERE.

22 DR. CSETE: WE DON'T OWN HER  
23 UNFORTUNATELY.

24 DR. CHARO: OH, SHE'S AT UCSF. SHE'S NOT  
25 WITH YOU.

## BARRISTERS' REPORTING SERVICE

1 DR. ROBERTS: E. J., WHAT'S THE ANSWER TO  
2 THAT? IS ANN RIGHT, THAT IT WOULD NOT BE COVERED?

3 DR. READ: YEAH. I'M A LITTLE CONFUSED.  
4 I THINK I KNOW -- THE COMPANY'S IN CALIFORNIA,  
5 RIGHT?

6 DR. KIESSLING: I DON'T KNOW.

7 DR. READ: I'VE HEARD THAT GUY TALK. AND  
8 HE'S ACTUALLY BEEN TALKING TO FDA, BUT I THINK HE'S  
9 ALLOWED -- I THINK THEY'RE ALLOWED TO SET UP THEIR  
10 TRIALS IN ANIMALS. I THINK THEY STILL HAVE TO  
11 FOLLOW THE ANIMAL RULES.

12 DR. KIESSLING: NO. I'M NOT TALKING ABOUT  
13 AN ANIMAL. I'M TALKING ABOUT IF YOU DID THAT IN A  
14 HUMAN, OKAY, THIS IS NOT MANIPULATED AT ALL. YOU'RE  
15 A BASKETBALL PLAYER.

16 DR. READ: YOU'RE TAKING BONE MARROW AND  
17 STICKING IT SOMEWHERE ELSE.

18 DR. CHARO: YOU MEAN IT'S JUST BASIC  
19 MALPRACTICE.

20 DR. KIESSLING: RIGHT.

21 DR. READ: AND IT'S AUTOLOGOUS AND IT'S  
22 NONHOMOLOGOUS AND YOU'RE ALSO DOING IT SORT OF UNDER  
23 ONE ROOF AND IT DOESN'T HAVE TO BE MANIPULATED AND  
24 IT'S NOT BEING STORED FOR A FEW DAYS AND SO ON.  
25 YEAH, YOU COULD DO THAT. AND THERE ARE SURGEONS WHO

## BARRISTERS' REPORTING SERVICE

1 DO TISSUE-TYPE THINGS IN THE O. R. THAT'S OKAY.

2 DR. KIESSLING: THAT'S JUST LIKE  
3 TRANSPLANTING A NERVE FROM SOMEPLACE TO ANOTHER.

4 DR. READ: BUT I THINK THAT MOST OF THE  
5 THINGS THAT CIRM IS DEALING WITH ARE A WHOLE LOT  
6 MORE COMPLEX THAN THAT. AND I THINK THAT'S WHAT  
7 WE'RE SORT OF TALKING ABOUT.

8 CHAIRMAN LO: RIGHT. I THINK WITHOUT SORT  
9 OF GETTING TOO ENMESHED IN THIS, I THINK WE NEED TO  
10 REMIND OURSELVES WE'RE TALKING ABOUT CIRM-FUNDED  
11 PROJECTS WHICH HAVE TO GO THROUGH SCIENTIFIC REVIEW  
12 AND BE CONSISTENT WITH THE CIRM SCIENTIFIC  
13 GUIDELINES. AND IT WOULD CERTAINLY BE SUBJECT TO  
14 FDA APPROVAL OVERSIGHT AS IS NEEDED. SO I THINK A  
15 LOT OF THIS IN THE U.S. WILL GET SETTLED AS A  
16 REGULATORY ISSUE.

17 AND AS INSU SAID, THE IMPETUS FOR THIS IN  
18 THE ISSCR GUIDELINES REALLY CAME FROM THE  
19 INTERNATIONAL CONTEXT WHERE COUNTRIES LIKE CHINA AND  
20 INDIA DO NOT REGULATE STEM CELL RESEARCH AT ALL AND  
21 DO NOT HAVE ANY COMPARABLE FDA PROGRAM FOR CELLULAR  
22 THERAPEUTICS. SO I THINK IT'S AN INTERESTING  
23 CONCEPTUAL QUESTION, BUT I THINK IN TERMS OF OUR  
24 MISSION WITH CIRM, I THINK IT'S PROBABLY NOT GOING  
25 TO BE AS BIG AN ISSUE AS IT WAS FOR ISSCR.

**BARRISTERS' REPORTING SERVICE**

1 DR. HYUN: I HAVE A QUESTION. SO I  
2 UNDERSTOOD THAT FDA DOESN'T STEP IN IF IT'S  
3 AUTOLOGOUS, MINIMALLY MANIPULATED, BUT IT MIGHT  
4 STILL BE NONHOMOLOGOUS. WOULD THEY COME IN BECAUSE  
5 IT'S NONHOMOLOGOUS? I THOUGHT AS LONG AS THIS IS  
6 MINIMALLY MANIPULATED --

7 DR. READ: NONHOMOLOGOUS IS CONSIDERED  
8 A -- WELL, IT DEPENDS ON WHAT YOU'RE TALKING ABOUT.  
9 BUT IF IT MEETS THE DEFINITION OF AN HCTP, A HUMAN  
10 CELL TISSUE PRODUCT, AND THERE'S NONHOMOLOGOUS USE,  
11 THEN IT IS KICKED UP AND REQUIRES AN IND.

12 DR. CHARO: I THINK ONE OF THE THINGS  
13 THAT --

14 DR. READ: YOU COULD ARGUE WHAT  
15 NONHOMOLOGOUS MEANS, BUT USUALLY --

16 DR. CHARO: JUST BY WAY OF REFERENCE, I'VE  
17 CALLED UP THE PAGE ON FDA, AND I CAN SEND IT OUT TO  
18 EVERYBODY, THAT KIND OF LAYS THIS OUT IN A MORE  
19 COMPREHENSIBLE WAY. BUT THE MOST IMPORTANT THING  
20 FOR PEOPLE TO KEEP IN MIND, INCLUDING THE CORD BLOOD  
21 PEOPLE WHO MAY BE OUT OF COMPLIANCE, IS THAT THESE  
22 VARIOUS CRITERIA, THEY'RE LINKED BY THE WORD "OR,"  
23 NOT THE WORD "AND." THAT IS, EITHER IT'S MORE THAN  
24 MINIMALLY MANIPULATED OR IT'S FOR A NONHOMOLOGOUS  
25 USE OR IT'S NONAUTOLOGOUS, AND THEN ANY ONE OF THOSE

## BARRISTERS' REPORTING SERVICE

1 CAN TRIGGER THE FDA'S OVERSIGHT REQUIRING AN IND.  
2 AND SO IT MAY BE THAT SOME OF THESE CORD BLOOD  
3 PEOPLE SIMPLY HAVE GOTTEN IT WRONG BECAUSE THESE  
4 RULES WERE NOT FINALIZED UNTIL 2005.

5 DR. READ: WELL, AND CORD BLOOD -- YEAH.  
6 I DIDN'T GO INTO A LOT OF DETAIL ON CORD BLOOD, BUT  
7 CORD BLOOD IS A LITTLE BIT FUNNY. IF IT'S PUBLICLY  
8 BANKED CORD BLOOD, IT'S CONSIDERED A 351 HCTP  
9 BECAUSE IT'S AN UNRELATED DONOR. BUT UNTIL THAT  
10 GUIDANCE GETS FINALIZED REQUIRING THE BANKS TO HAVE  
11 LICENSE APPLICATIONS, PEOPLE ARE EITHER DOING THINGS  
12 UNDER IND OR NOT BECAUSE THEY HAVE THIS FUNNY IND  
13 MORATORIUM. SO THE PEOPLE WHO ARE IN PRACTICE, LIKE  
14 JOHN, MAY USE CORD BLOOD FOR SOMETHING OTHER THAN  
15 WHAT THAT GUIDANCE SAYS. AND SO FDA IS NOT GOING TO  
16 SHUT THEM DOWN, BUT THEY'RE TRYING TO ENCOURAGE THEM  
17 TO SUBMIT DATA SO THAT THEY CAN DO THAT LEGALLY.

18 SO IT'S NOT AN EGREGIOUS OFFENSE BECAUSE  
19 THE CORD BLOOD'S ALREADY BEEN BANKED. THEY'RE JUST  
20 USING IT IN A SORT OF DIFFERENT INDICATION.

21 CHAIRMAN LO: I'M GOING TO TRY AND MOVE US  
22 ON BECAUSE THERE'S A COUPLE OTHER THINGS I'D LIKE TO  
23 DO BEFORE WE ADJOURN. SO ANY OTHER QUESTIONS ON  
24 OTHER TOPICS?

25 DR. PRIETO: JUST ON THIS TOPIC, AND I'M

## BARRISTERS' REPORTING SERVICE

1 TRYING TO FIGURE OUT HOW TO SEND IT TO EVERYONE, BUT  
2 IN THE *NEW YORK TIMES* TWO DAYS AGO, THERE WAS AN  
3 ARTICLE ON TREATMENT CURRENTLY BEING DONE IN HUMANS  
4 WHO ARE HAVING THEIR OWN BLOOD, ACTUALLY PLATELET  
5 RICH PLASMA INJECTED INTO INJURED TISSUES. SO THIS  
6 IS HAPPENING NOT JUST IN RACEHORSES.

7 DR. READ: RIGHT. RIGHT. AND THAT WAS  
8 PLATELET RICH PLASMA FROM THEMSELVES, RIGHT?

9 DR. PRIETO: FROM THEMSELVES.

10 DR. READ: SO IT'S AUTOLOGOUS. SO IT'S AN  
11 AUTOLOGOUS BLOOD PRODUCT, BUT IT'S AN INTERESTING  
12 USE, RIGHT. SO I DON'T KNOW WHAT THE FDA IS SAYING  
13 ABOUT THAT ONE.

14 CHAIRMAN LO: ALL RIGHT. SO I WANT TO TRY  
15 AND MOVE US ON. STEVE PECKMAN HAD A COUPLE OTHER  
16 ISSUES, AND THEN I WANT TO SORT OF -- ACTUALLY IT'S  
17 GOING TO BE FAST, STEVE, BECAUSE AT QUARTER OF ONE,  
18 WHICH IS IN ABOUT 15 MINUTES, I WANT TO SORT OF COME  
19 BACK TO THE SWG TO SORT OF DO A WRAP-UP AND NEXT  
20 STEPS AND SORT OF PLAN WHAT WE DO NEXT. SO, STEVE,  
21 I'M GOING TO SQUISH YOU DOWN HERE TO 15 MINUTES OF  
22 PRESENTATION AND Q AND A. GEOFF IS GOING TO HELP  
23 YOU GET YOUR SLIDES BACK UP.

24 THANKS, INSU. THAT WAS VERY HELPFUL.

25 DR. PECKMAN: I WAS WONDERING IF THAT *NEW*



**BARRISTERS' REPORTING SERVICE**

1 *YORK TIMES* ARTICLE WAS ABOUT AROD.

2 DR. CHARO: IT WAS ABOUT ATHLETES  
3 ACTUALLY. AROD HAD ALREADY PICKED A DIFFERENT  
4 TREATMENT.

5 DR. PRIETO: THE GENERAL APPROACH TO THIS  
6 WAS THAT, YOU KNOW, THERE REALLY WERE NOT ANY  
7 ETHICAL QUESTIONS RAISED. THE LEAD SENTENCE IS TWO  
8 OF THE PITTSBURGH STEELERS BIGGEST STARS, HINES WARD  
9 AND TROY POLAMALU, USED THEIR OWN BLOOD IN AN  
10 INNOVATIVE INJURY TREATMENT BEFORE WINNING THE SUPER  
11 BOWL. AND I THINK YOU ALL HAVE THE LINK NOW.

12 CHAIRMAN LO: I'LL ASK STEVE TO TURN DOWN  
13 HIS PRESENTATION OR JUST TAKE THE HIGHLIGHTS.

14 DR. PECKMAN: THIS IS AS FAST AS I CAN GO,  
15 THOUGH.

16 DR. CHARO: BUT LOOK HOW WELL WE CAN ALL  
17 READ IT DESPITE THOSE LITERACY RATES.

18 DR. PECKMAN: CLEARLY YOU SPEND MORE THAN  
19 SEVEN MINUTES A DAY READING, ALTA.

20 DR. CHARO: ACTUALLY DOROTHY POINTED OUT  
21 THAT MOST OF THOSE TEENAGERS ARE SPENDING 14 HOURS A  
22 DAY READING TEXT MESSAGES.

23 DR. PECKMAN: WHICH AREN'T WRITTEN IN  
24 ENGLISH. THEY'RE WRITTEN IN SOME SUBFORM.

25 SO WE'VE GONE THROUGH THE PROCESS OF WHAT

## BARRISTERS' REPORTING SERVICE

1 IT TAKES TO GET IT REVIEWED, AND THEN WHAT ARE WE  
2 DOING TO ENSURE THAT HUMAN SUBJECTS ARE PROTECTED  
3 ONCE THEY ENROLL IN THE TRIAL. WELL, THERE'S A  
4 WHOLE LOT OF POSTAPPROVAL MONITORING GOING ON THAT  
5 IRB'S AND OTHER COMMITTEES ARE INVOLVED IN. IN  
6 FACT, REGULATIONS REQUIRE ONGOING REVIEW OF  
7 RESEARCH. SOME PEOPLE BELIEVE THIS IS JUST A PAPER  
8 REVIEW THAT HAPPENS ANNUALLY, BUT ACTUALLY IN MUCH  
9 RESEARCH IT HAPPENS MORE THAN THAT. AND FDA IS VERY  
10 MUCH ENCOURAGING IT TO HAPPEN MORE THAN JUST  
11 ANNUALLY.

12 SO WHAT WE HAVE IN TERMS OF POSTAPPROVAL  
13 MONITORING IS THE RESPONSIBILITY TO REVIEW WHAT INSU  
14 TALKED ABOUT WAS ADVERSE REACTIONS OR UNEXPECTED  
15 EVENTS. IN FACT, IF CIRM IS GOING TO FUND CLINICAL  
16 TRIALS, CIRM WILL BE ACTING AS A SPONSOR AND WILL BE  
17 RECEIVING ADVERSE EVENTS AND WILL HAVE TO TALLY THEM  
18 AND ENSURE THAT APPROPRIATE DATA IS DISSEMINATED.  
19 SO THERE'S A REPORTING AND RECEIVING REQUIREMENT  
20 THAT GOES ALONG WITH THAT. SO IRB'S ARE A PART OF  
21 THAT BECAUSE THEY ACTUALLY RECEIVE THESE EVENTS AND  
22 EVALUATE THEM AS WELL.

23 ANOTHER FORM OF POSTAPPROVAL MONITORING IS  
24 AN ISSUE THAT I THINK IS NEAR AND DEAR TO MOST  
25 PEOPLE'S HEARTS, AS I HEARD FROM THE DISCUSSION

## BARRISTERS' REPORTING SERVICE

1 EARLIER, IS HOW DO WE KNOW THAT THE INFORMED CONSENT  
2 PROCESS IS ACTUALLY WORKING? AND THE FEDERAL  
3 REGULATIONS ACTUALLY PROVIDE THE IRB WITH THE  
4 AUTHORITY TO OBSERVE OR HAVE A THIRD-PARTY OBSERVER  
5 PARTICIPATE IN THE CONSENT PROCESS. AND ACTUALLY  
6 HAVING DONE THAT MYSELF MANY TIMES AS A CONSENT  
7 ADVOCATE OR MONITOR, I CAN TELL YOU IT'S QUITE  
8 TELLING. AND, IN FACT, IT CAN SERVE TO EMPOWER AND  
9 FACILITATE DECISION-MAKING OF SUBJECTS, HELPING THEM  
10 HELP THEMSELVES. IT ALSO HELPS INVESTIGATORS AS  
11 WELL. IT HELPS EVERYONE UNDERSTAND RISK-BENEFIT  
12 RATIOS, AND IT ALSO CAN ADDRESS COMPETENCY CHANGES.

13 I'LL TELL YOU ONE SHORT STORY SINCE BERNIE  
14 NEEDS TO GET MOVING ON ANOTHER AGENDA ITEM.  
15 CLINICAL TRIAL ON PARKINSON'S DISEASE, WHICH WAS AN  
16 INVESTIGATIONAL DRUG DELIVERED BY AN INVESTIGATIONAL  
17 DEVICE DIRECTLY INTO THE BRAIN IN A RANDOMIZED  
18 CONTROL FASHION. THE IRB WAS SERIOUSLY CONCERNED  
19 THAT PARKINSON'S PATIENTS DESPERATE FOR HELP WITH  
20 THEIR DISEASE WOULD ENROLL IN THIS TRIAL WITHOUT  
21 SERIOUSLY CONSIDERING AND MAKING KNOWLEDGEABLE  
22 DECISIONS.

23 SO I WAS THE CONSENT ADVOCATE OR MONITOR  
24 FOR THAT TRIAL. AND AT OUR INSTITUTION THERE WERE  
25 FIVE OR SIX PATIENTS WHO WANTED TO ENROLL OF WHICH

## BARRISTERS' REPORTING SERVICE

1 ONE DID. CONSENT PROCESS ON AVERAGE WAS AN HOUR AND  
2 A HALF PER SUBJECT, OF WHICH MOST OF IT WAS  
3 DISCUSSION ABOUT RISKS AND BENEFITS OF PARTICIPATION  
4 IN RESEARCH, WHAT IT MEANT TO BE IN A RANDOMIZED  
5 CLINICAL TRIAL. CLEARLY THIS WAS JUST ANECDOTAL  
6 INFORMATION, BUT I HAVE MANY SIMILAR STORIES TO TELL  
7 ABOUT MANY OTHER TYPES OF RESEARCH PROJECTS FOR BOTH  
8 DECISIONALLY COMPROMISED PATIENTS AND THOSE WHO WERE  
9 NOT, BUT YET VERY EAGER TO PARTICIPATE IN RESEARCH.

10 SO MONITORING CONSENT CAN CERTAINLY  
11 CONSIST OF THE IRB DESIGNATING AN ADVOCATE SERVE ON  
12 BEHALF OF THE SUBJECTS, AND THE MONITORER OR  
13 ADVOCATE DOES MORE -- CAN DO MORE THAN JUST SIT  
14 THERE. THEY COULD BE PASSIVE, IT COULD BE A  
15 SINGULAR EVENT, OR IT COULD BE AN ONGOING PROCESS  
16 AND POSTSIGNING WHICH WE HAVE DONE AS WELL.

17 SO THIS IS ENSURING THAT INFORMED CONSENT  
18 IS A PROCESS RATHER THAN THE SIGNING OF A DOCUMENT  
19 MUCH LIKE A CONTRACT WHEN YOU BUY YOUR CAR. THAT'S  
20 WHAT WE WANT TO AVOID. WE WANT TO ENSURE  
21 PROCESS-ORIENTED DECISION-MAKING.

22 WE LISTEN AND OBSERVE THE CONSENT AND THE  
23 PROCESS, THE COMMUNICATION WITH THE INVESTIGATOR AND  
24 THE SUBJECT AND THE SUBJECT'S FAMILY, AND WE ASK  
25 QUESTIONS. WE'RE KNOWLEDGEABLE ABOUT THE PROTOCOL,

## BARRISTERS' REPORTING SERVICE

1 THE SCIENCE, AND THIS IS IN ORDER TO FACILITATE  
2 COMPREHENSION. AND WE ELICIT QUESTIONS FROM BOTH  
3 THE INVESTIGATOR AND THE SUBJECT. AND CLEARLY WE  
4 ALSO DOCUMENT PEOPLE'S UNDERSTANDING WITH MORE THAN  
5 YES-NO QUESTIONS, BUT QUESTIONS THAT REQUIRE  
6 NARRATIVE RESPONSES.

7 THE MONITOR ADVOCATE DETERMINES  
8 UNDERSTANDING AND, IF NECESSARY, REQUESTS THAT THE  
9 INVESTIGATOR REREVIEW MATERIALS WITH THE SUBJECT.  
10 IF THE MONITOR DOES NOT THINK THE SUBJECT  
11 UNDERSTANDS THE RESEARCH OR ALL ITEMS IN THE CONSENT  
12 DOCUMENT, THEN THE SUBJECT IS NOT ENROLLED IN THE  
13 RESEARCH. AND ALL CONSENT ENCOUNTERS ARE REPORTED  
14 TO THE IRB.

15 IS IT WORTH IT? WELL, IT CHANGES  
16 BEHAVIOR. ANECDOTALLY I CAN TELL YOU INVESTIGATORS  
17 HAVE CHANGED HOW THEY APPROACH THE CONSENT PROCESS  
18 AND HAVE SHARED WITH ME HOW IT'S FUNDAMENTALLY  
19 CHANGED THEIR APPROACH AFTER ENGAGING THROUGH AND  
20 ADVOCATE OR CONSENT MONITOR. IT ASSURES THE IRB  
21 THAT CONSENT IS ACTUALLY A PROCESS WITH  
22 KNOWLEDGEABLE DECISION-MAKING, AND IT MAY ADDRESS  
23 SOME ETHICAL ISSUES, ALTHOUGH IT MAY CREATE SOME AS  
24 WELL. DOES IT FACILITATE OR IMPEDE AUTONOMY?

25 POSTAPPROVAL MONITORING ALSO INCLUDES A

## BARRISTERS' REPORTING SERVICE

1 RESEARCH PLAN OF MONITORING THE DATA, WHICH WAS  
2 ALLUDED TO EARLIER, THROUGH DATA SAFETY MONITORING  
3 BOARDS WHICH INITIATE THROUGH DATA SAFETY MONITORING  
4 PLANS. HOWEVER, MUCH OF THESE ARE NOT REAL-TIME  
5 MONITORING, AND IT POSES THE QUESTION, ESPECIALLY IN  
6 CELLULAR ARTICLES, WHETHER THERE SHOULD BE LONG-TERM  
7 FOLLOW-UP SUCH AS IN GENE TRANSFER THAT COULD LAST A  
8 LIFETIME. SO MAYBE WHEN YOU ENROLL IN THE PROJECT,  
9 YOU SHOULD BE ENROLLED FOR LIFE, AND THERE SHOULD BE  
10 PERIODIC REVIEWS OF YOUR STATUS AND HOW THIS  
11 MANIPULATION HAS CONTRIBUTED TO OR CHANGED YOUR  
12 LIFE. AND MAYBE AFTER COLLECTION OF CERTAIN AMOUNT  
13 OF DATA AGREED UPON BY THE SCIENTIFIC COMMUNITY,  
14 THAT BECOMES MODIFIED. THERE'S CERTAINLY A LOT OF  
15 CANCER AND GENE TRANSFER TRIALS PATIENTS ARE  
16 FOLLOWED -- PATIENT SUBJECTS ARE FOLLOWED FOR LIFE.  
17 SO WHAT DOES IT DO? WELL, IT PLAYS AN  
18 ESSENTIAL ROLE IN PROTECTING SUBJECTS AND ASSURING  
19 THE INTEGRITY OF THE RESEARCH IN THAT WHAT HAS BEEN  
20 IN EXISTENCE FOR MORE THAN 30 YEARS AS AN IDEA, IT'S  
21 OPERATED BY SPONSORS, INVESTIGATORS, AND IRB'S,  
22 THERE ARE CONFLICT OF INTEREST PROCEDURES TO  
23 MINIMIZE EVALUATION BIAS, THEY DEVELOP  
24 PROTOCOL-SPECIFIC MONITORING GUIDELINES. SO EACH  
25 ONE IS INDIVIDUALLY CREATED TO ADDRESS THAT

## BARRISTERS' REPORTING SERVICE

1 PROTOCOL'S SITUATION. AND IT PROVIDES AN INTERIM  
2 EVALUATION OF DATA AS IT IS CREATED TO THE SPONSOR.

3 NOW, IT COULD ALSO BE DONE WHERE IT'S  
4 REPORTED DIRECTLY TO THE IRB. I'VE DONE THAT AS  
5 WELL. AND INTERIM ANALYSES ARE PERFORMED TO ASSESS  
6 SAFETY, EFFICACY, AND DATA INTEGRITY.

7 SO THIS IS THE WAY WE ENSURE THAT  
8 THROUGHOUT THE PROCESS OF ADMINISTERING NOVEL  
9 CELLULAR ARTICLES TO SUBJECTS, WE UNDERSTAND WHAT  
10 THE ONGOING RISK IS AND ARE ABLE TO EVALUATE THOSE  
11 RISKS IN TIME TO TRY TO MINIMIZE THEM FOR THE  
12 SUBJECTS WHO ARE RECEIVING THEM AND FOR THOSE WHO  
13 MAY COME LATER.

14 SO THE DSMB, THE MONITORING BOARD, WILL  
15 REVIEW ADVERSE EVENT REPORTS AS WELL. AND THEY'LL  
16 MONITOR AND MAKE RECOMMENDATIONS REGARDING  
17 ADDITIONAL ENROLLMENT OF SUBJECTS, MODIFICATION OF  
18 STUDY PROCEDURES, ADHERENCE TO THE PROTOCOL; AND AS  
19 TOXICITIES ARISE, ANALYZING THEM AND EVALUATING THEM  
20 WITHIN THE CONTEXT OF THE RESEARCH AND, AGAIN,  
21 ENGAGING IN A RISK-BENEFIT CALCULUS.

22 THEY HAVE THE AUTHORITY TO SUSPEND OR  
23 RECOMMEND EARLY TERMINATION OF THE RESEARCH DUE TO  
24 SAFETY CONCERNS, INADEQUATE PERFORMANCE, OR ACCRUAL,  
25 OR MAYBE EVEN MORE IMPORTANTLY THAT THE RESEARCH

## BARRISTERS' REPORTING SERVICE

1 OBJECTIVES HAVE BEEN ATTAINED OR THEY'RE  
2 UNATTAINABLE. BECAUSE CLEARLY IF YOU'VE ATTAINED  
3 THE OBJECTIVES, THERE'S NO REASON TO CONTINUE WITH  
4 THE RESEARCH. AND IF YOU'VE DISCOVERED THROUGH THIS  
5 DATA ANALYSIS THAT YOUR OBJECTIVES ARE UNATTAINABLE,  
6 THEN YOU SHOULD STOP AND NOT PLACE ANYONE ELSE AT  
7 RISK.

8 NOW, THIS COULD HAPPEN IN TERMS OF LARGE  
9 TRIALS OR SMALL TRIALS, PHASE I OR PHASE III. I CAN  
10 TELL YOU I HAVE EXPERIENCE WITH A PHASE I ONCOLOGY  
11 PROTOCOL WHERE THERE WERE TEN SUBJECTS FOR PHASE I,  
12 NOVEL ARTICLE. OF THOSE -- ALL TEN SLOTS WERE  
13 FILLED. A SUBJECT DIED RELATED TO THE RESEARCH.  
14 THE DSMB SAID THE STUDY SHOULD BE CLOSED DOWN. THE  
15 IRB WANTED TO EVALUATE THE OTHER NINE SUBJECTS. OF  
16 THOSE NINE SUBJECTS, ONE SAW SERIOUS IMPROVEMENT  
17 WHILE ON THE TRIAL, MORE THAN 75 PERCENT DECREASE IN  
18 TUMOR GROWTH. WAS THAT A RESULT OF THE DRUG? WAS  
19 IT A RESULT OF SOMETHING ELSE THAT WAS GOING ON?  
20 MAYBE PRAYERS WERE ANSWERED. WE DIDN'T KNOW.

21 SO WE CREATED AN ADDITIONAL MONITORING  
22 BOARD WITH A MONITORING TASK THAT HAPPENED IN  
23 REAL-TIME BECAUSE WE UNDERSTOOD WHY THE SUBJECT  
24 DIED. AND WE FELT THAT SINCE WE DIDN'T KNOW WHETHER  
25 THE REMAINING SUBJECT WHO HAD IMPROVED DURING THE



## BARRISTERS' REPORTING SERVICE

1 TRIAL, I CAN'T SAY THAT SHE BENEFITED FROM THE  
2 TRIAL, BUT HAD IMPROVED DURING THE TRIAL, WHETHER  
3 SHE COULD MAINTAIN ON THE TRIAL WITH ADDITIONAL  
4 MONITORING TO MINIMIZE THE RISK TO ENSURE THAT THE  
5 TRIAL WAS SHUT DOWN IF THE RISKS STARTED TO INCREASE  
6 SO THAT SHE DIDN'T HAVE DIMINISHED QUALITY OF LIFE  
7 IN HER REMAINING TIME.

8 THESE ARE ALL PATIENTS WHO WERE VERY ILL  
9 WITH CANCER. SO SHE STAYED ON THE TRIAL FOR ANOTHER  
10 THREE MONTHS WHILE WE HAD WEEKLY MONITORING OF ALL  
11 DATA AS BLOOD COUNTS AND OTHER TESTS WERE PERFORMED  
12 TO ENSURE HER SAFETY. AND AT THE POINT WHERE THE  
13 DRUG CONTINUED TO ACCUMULATE IN HER BODY WHERE WE  
14 KNEW IT WOULD NOT BE RELIEVED, SHE WAS WITHDRAWN  
15 FROM THE STUDY BECAUSE WE KNEW IF SHE CONTINUED IN  
16 THE STUDY, THE DRUG WOULD CONTINUE TO BUILD UP AND  
17 IT WOULD KILL HER. SHE LIVED ANOTHER SIX MONTHS.

18 SO WE'RE ABLE TO ENSURE SAFETY IN THE  
19 TRIAL, MINIMIZE RISK, MAINTAIN OPTIMAL QUALITY OF  
20 LIFE, AND STOP THE TRIAL WHEN APPROPRIATE THROUGH  
21 ONGOING MONITORING. AND THIS IS SOMETHING THAT  
22 IRB'S AND MONITORING BOARDS DO ALL THE TIME.

23 SO THE POINT IS TO TRY TO ASSURE YOU THAT  
24 THERE IS INFRASTRUCTURE, PROCESSES, AND PROCEDURES  
25 ALREADY IN PLACE THAT YOU DO NOT HAVE TO REINVENT.

## BARRISTERS' REPORTING SERVICE

1 AND AS INSU SAID, THERE MAY BE SOME SPOKES NEEDED OR  
2 THERE MAY NEED TO BE SOME WAX ON THE WHEELS IN ORDER  
3 TO MAKE THEM RUN MORE SMOOTHLY. THERE ARE A LOT OF  
4 THINGS IN PLACE TO ENSURE THE PROTECTION OF  
5 SUBJECTS.

6 HOWEVER, THE DSMB SHOULD ALWAYS INFORM THE  
7 IRB OF OPERATING PROCEDURES. DO IRB'S REQUEST DSMB  
8 REPORTS? IF NOT, THEY SHOULD. ARE THE REPORTS  
9 VALUABLE? ABSOLUTELY. THE PROBLEM IS THE HISTORY  
10 OF DSMB'S HAS BEEN A LITTLE UNEVEN AND THAT THE  
11 REAL-TIME RELATIONSHIP TO THESE ANALYSES PROBABLY  
12 SHOULD BE INCREASED IN NOVEL APPROACHES WITH HUMANS.

13 ADDITIONALLY, DSMB'S SHOULD DO MORE IN  
14 THEIR REPORTS THAN THEY COMMONLY DO WITH INDUSTRY  
15 SPONSORS, WHICH IS REPORT TO IRB'S THAT THINGS ARE  
16 GOING WELL. THEY ALSO HAVE TO REPORT WHEN THEY'RE  
17 NOT GOING WELL. IT'S THE KIND OF NEGATIVE FINDINGS  
18 RESULT YOU'RE TALKING ABOUT AT THE END OF THE TRIAL.  
19 CHANGES IN THE DSMB SHOULD ALWAYS BE REPORTED AS  
20 WELL.

21 LONG-TERM FOLLOW-UP, AS I NOTED BEFORE,  
22 NOVEL CELL-BASED RESEARCH MAY NEED TO HAVE LONG-TERM  
23 FOLLOW-UP WITH SUBJECTS TO ENSURE SAFETY OF CURRENT  
24 AND FUTURE RECIPIENTS OF PRODUCTS AND TO MAXIMIZE  
25 GENERALIZED KNOWLEDGE. LONG-TERM FOLLOW-UP ABOUT

## BARRISTERS' REPORTING SERVICE

1 QUALITY OF LIFE, HOW DOES IT CHANGE, IF IT CHANGES  
2 AT ALL. LIFETIME FOLLOW-UP IS COMMON IN GENE  
3 TRANSFER RESEARCH, AND THE ISSCR GUIDELINES SEEM TO  
4 IMPLY A NEED FOR THIS AS WELL.

5 AND MY FINAL POINT FOR THE DAY IN  
6 REINVENTING THE WHEEL OR NOT REINVENTING THE WHEEL  
7 IS WHAT IS THE ROLE OF THE SCRO IN ALL OF THIS? I  
8 STARTED OUT THE TALK TODAY BY NOTING THAT THE SCRO'S  
9 ESSENTIALLY WERE IDEALIZED AND CONCEPTUALIZED BY THE  
10 NATIONAL ACADEMY AS A WAY TO ADDRESS PRECLINICAL  
11 RESEARCH. AND CIRM ADOPTED THOSE GUIDELINES AND  
12 ADOPTED THE SCRO MODEL AND, AGAIN, TO ADDRESS  
13 PRECLINICAL RESEARCH.

14 SO WHAT IS THE ROLE OF THE SCRO IN THE  
15 REVIEW OF CLINICAL RESEARCH? IF IRB'S AND  
16 SCIENTIFIC REVIEW COMMITTEES ALREADY EXIST AT  
17 INSTITUTIONS IN ORDER TO ASSESS THE RESEARCH AND ARE  
18 REQUIRED TO HAVE APPROPRIATE AND ADEQUATE SCIENTIFIC  
19 REPRESENTATION, THEN THE SCRO MAY BE REDUNDANT. AND  
20 IF IT'S REDUNDANT, DO WE WANT TO PLACE ANOTHER  
21 IMPEDIMENT IN THE WAY THAT'S UNNECESSARY IN ORDER TO  
22 PROTECT HUMAN SUBJECTS AND TO MAXIMIZE RESEARCH? I  
23 DON'T THINK ANYONE IN THIS ROOM IS GOING TO TELL YOU  
24 THAT THE RESEARCH WHEEL MOVES FAST ENOUGH DURING THE  
25 REVIEW PROCESS. PATIENT ADVOCATES, SCIENTISTS,

## BARRISTERS' REPORTING SERVICE

1 PHYSICIANS, AND OTHERS ALL HAVE THE SAME COMPLAINTS.  
2 THE WHEEL MOVES TOO SLOW. AND IS THIS ADDITIONAL  
3 NEW COMMITTEE NECESSARY IN ORDER TO MAXIMIZE  
4 PROTECTION OF SUBJECTS AND TO ENSURE SOUND SCIENCE?

5 SO IT'S JUST A BRIEF REVIEW OF MEMBERSHIP.  
6 THE SCRO CLEARLY HAS TO HAVE SCIENTIFIC EXPERTISE,  
7 BUT SO DOES THE IRB AS WOULD A SCIENTIFIC PEER  
8 REVIEW COMMITTEE. THE SCRO DOESN'T NEED TO HAVE  
9 MEDICAL CLINICAL TRIAL EXPERTISE WHILE THE OTHERS  
10 DO. THOUGH THE SCRO IS REQUIRED TO HAVE ETHICS  
11 EXPERTISE, IT CERTAINLY IS IMPLIED WITH IRB'S AND  
12 IT'S UNNECESSARY FOR SCIENTIFIC PEER REVIEW.  
13 COMMUNITY MEMBERSHIP, DIVERSITY OF MEMBERSHIP ARE  
14 ALL PARTS OF CERTAINLY THE IRB. SCRO'S ARE NOT  
15 REQUIRED TO HAVE BIOSTATISTICIANS BECAUSE IT'S  
16 UNNECESSARY FOR LABORATORY RESEARCH. TYPICALLY  
17 IRB'S HAVE PHARMACISTS AND RESEARCH NURSES AS PART  
18 OF THEIR REVIEW BOARD, AND THEY'RE PART OF THE  
19 CLINICAL TRIAL PROCESS.

20 SO I ASK CIRM TO EVALUATE WHAT THE ROLE OF  
21 THE SCRO IS; AND IF IT SHOULD BE MAINTAINED, TO  
22 OPTIMIZE IT SO IT HAS VALUE RATHER THAN TO MAKE IT A  
23 REDUNDANT BURDEN ON A PROCESS THAT I THINK EVERYONE  
24 INVOLVED SAYS ALREADY MOVES TOO SLOW.

25 SO IN SUMMARY, THERE'S A WELL-ESTABLISHED

## BARRISTERS' REPORTING SERVICE

1 CLINICAL RESEARCH REVIEW REQUIREMENT AND OVERSIGHT  
2 IN THIS COUNTRY. IT INCLUDES VARIOUS COMPLIANCE  
3 COMMITTEES WHICH I TALKED ABOUT, AND THEY'RE  
4 GOVERNED BY FEDERAL AND STATE REGULATIONS AS WELL AS  
5 INSTITUTIONAL POLICIES. IRB'S ARE KIND OF THE HUB  
6 OF THAT WHEEL AROUND WHICH ALL OF THIS SPINS. AND  
7 THEY'RE REQUIRED TO HAVE SUFFICIENT SCIENTIFIC  
8 EXPERTISE TO EVALUATE THE RESEARCH AND PROTECT THE  
9 SUBJECTS. THEY'RE THERE TO MINIMIZE RISKS AND  
10 MAXIMIZE BENEFITS. THEY'RE THERE TO ENSURE RESPECT  
11 FOR THE DIGNITY AND AUTONOMY OF THE SUBJECTS AND TO  
12 ENSURE FAIR SUBJECT SELECTION. AND THEY'RE REQUIRED  
13 TO MONITOR THE RESEARCH IN AN ONGOING WAY INCLUDING  
14 THE INFORMED CONSENT PROCESS.

15 THERE IS ROOM FOR IMPROVEMENT, AS I NOTED  
16 WITH DATA SAFETY MONITORING BOARDS. AND IT'S  
17 IMPORTANT TO EVALUATE AND MINIMIZE REDUNDANCY OF THE  
18 SCRO. BECAUSE IN THE WORDS OF MY FAVORITE ROMANTIC  
19 POET, ABOUT 500 YEARS -- THREE HUNDREDS YEARS AGO,  
20 "WHAT IS NOW APPROVED WAS ONCE ONLY IMAGINED." AND  
21 THIS IS OUR FUTURE. AND THE FUTURE MEANS A GREAT  
22 DEAL TO EVERYONE IN THIS ROOM AND OUTSIDE OF THIS  
23 ROOM AND IT NEEDS TO BE SUCCESSFUL. SO THANK YOU  
24 VERY MUCH.

25 CHAIRMAN LO: OKAY. THANKS. I WANT TO

## BARRISTERS' REPORTING SERVICE

1 HAVE JUST A COUPLE MINUTES, LIKE MAYBE THREE OR FOUR  
2 COMMENTS AND QUESTIONS BECAUSE I WANT TO TRY AND GET  
3 SORT OF A WRAP-UP AND NEXT STEPS. SO ALTA, ANYBODY  
4 HAVE A COMMENT THEY WANT TO MAKE? QUICK COMMENTS  
5 AND RESPONSES.

6 DR. CHARO: VERY QUICK, JUST AGAIN FOR THE  
7 RECORD, ON THE ASSUMPTION THAT NIH IS ABOUT TO BEGIN  
8 FUNDING AGAIN IN THIS AREA, JUST A COUPLE OF THINGS  
9 TO KEEP IN MIND WITH REGARD TO THE ESCRO, SCRO' S,  
10 HOWEVER YOU WANT TO CALL THEM. FIRST, NIH CANNOT  
11 REQUIRE THAT THEY BE USED BECAUSE THEY ARE  
12 NONGOVERNMENTAL AND, THEREFORE, NIH IS GOING TO HAVE  
13 TO COME UP WITH ITS OWN GUIDELINES FOR WHAT WILL OR  
14 WILL NOT BE FUNDED OR HOW IT WILL BE CONDUCTED WITH  
15 FEDERAL FUNDING. SO I THINK WE NEED TO STAY TUNED  
16 FOR THE NEXT DEVELOPMENT IN TERMS OF WHETHER  
17 INSTITUTIONS ARE GOING TO KEEP THEIR SCRO' S OR  
18 ABANDON THEM ENTIRELY IN THE CONTEXT OF PRECLINICAL  
19 WORK. AND NOBODY KNOWS HOW THAT' S GOING TO TURN  
20 OUT.

21 SECOND, THAT THE NATIONAL ACADEMIES  
22 COMMITTEE THAT IS KIND OF THE ONGOING COMMITTEE WITH  
23 REGARD TO THOSE SCRO' S RECENTLY DID A SURVEY AROUND  
24 THE COUNTRY OF PEOPLE' S ATTITUDES ABOUT THEM AND  
25 WHETHER THEY HAD CONTINUING VALUE. THOSE RESULTS

## BARRISTERS' REPORTING SERVICE

1 ARE PUBLIC. IF YOU HAVEN'T GOTTEN THEM OR WOULD  
2 LIKE TO GET THEM, SEND A NOTE TO THE NATIONAL  
3 ACADEMIES TO FRAN SHARPLES. YOU WILL GET THEM.

4 IT WAS VERY INTERESTING. THERE WAS A KIND  
5 OF GENERAL VIEW THAT THERE WAS SOME VALUE IN THEM,  
6 BUT THERE WERE CERTAINLY SOME PEOPLE WHO WERE PRETTY  
7 EXPLICIT ABOUT THEIR DESIRE TO GET RID OF ANY  
8 REDUNDANCIES. SO YOU MIGHT BE ABLE TO FIND  
9 SOMETHING USEFUL THERE TO THINK ABOUT WHAT TO DO  
10 WITHIN YOUR OWN INSTITUTION.

11 MR. SHESTACK: WHAT WOULD THE PRACTICAL  
12 IMPLICATION OF THAT BE FOR CIRM?

13 DR. CHARO: WELL, FOR CIRM IT HAS THE  
14 COMPLICATION THAT MUCH OF WHAT CIRM SAYS YOU MUST DO  
15 HAS NOW BEEN ADOPTED IN ADMINISTRATIVE REGULATION  
16 UNDER CALIFORNIA LAW WHICH CAN'T BE CHANGED WITHOUT  
17 ANOTHER FORMAL ADMINISTRATIVE PROCESS. SO FOR CIRM  
18 THERE ARE REVIEW PROCESSES THAT ARE IN PLACE AND  
19 MUST STAY IN PLACE REGARDLESS OF WHAT NIH DOES, AND  
20 IT'S GOING TO, I THINK, ADD YET ANOTHER LAYER TO  
21 THIS ANNOYING PROBLEM OF DIFFERENT RULES APPLYING  
22 DEPENDING UPON WHERE YOU GET YOUR FUNDING, AND YET  
23 THE SAME LABORATORY MAY BE GETTING FUNDING FROM  
24 THREE DIFFERENT SOURCES, CIRM, NIH, PRIVATE. SO  
25 YOU'VE GOT THE CALIFORNIA STATE LAW, YOU'VE GOT THE

**BARRISTERS' REPORTING SERVICE**

1 CI RM REGULATIONS, AND YOU WILL HAVE THE NIH  
2 GUIDELINES, AND I WOULD IMAGINE IN THE MIX THERE  
3 THAT AT ONE POINT NATIONAL ACADEMIES VOLUNTARY  
4 GUIDELINES MIGHT GET DROPPED JUST AS ONE THING YOU  
5 CAN DROP WITHOUT A FORMAL ACTION. BUT --

6 MR. SHESTACK: THIS WOULD BE A QUESTION  
7 FOR YOU, ALAN. WILL THERE BE SORT OF ONGOING  
8 DISCUSSION WITH NIH AS THOSE STANDARDS DEVELOP FOR  
9 THE FORESEEABLE FUTURE? WE WILL STILL BE FUNDING  
10 MORE STEM CELL RESEARCH THAN THEY WILL?

11 DR. CHARO: BEFORE ALAN ANSWERS, JUST TO  
12 BE VERY CLEAR, NIH WILL NOT BE ABLE TO FUND  
13 DERIVATIONS BECAUSE THAT WOULD STILL VIOLATE.

14 MR. SHESTACK: WILL --

15 DR. CHARO: -- DERIVATIONS OF NEW LINES  
16 BECAUSE THAT WOULD STILL VIOLATE DICKEY-WICKER.  
17 THEY CAN ONLY FUND WORK ON LINES THAT WERE DERIVED  
18 WITH NONFEDERAL MONEY. SO THERE WILL ALWAYS BE A  
19 PLACE FOR FORMAL ADMINISTRATIVE RULE OR VOLUNTARY  
20 GUIDELINES WITH REGARD TO THE DERIVATION PROCESS,  
21 THE RECRUITMENT OF DONORS FOR EGGS, FOR SPERM, FOR  
22 EMBRYOS, FOR SOMATIC CELLS, AND THE CREATION OF NEW  
23 LINES. THAT'S NOT GOING TO GO AWAY RIGHT AWAY.

24 BUT UNTIL NIH GOES THROUGH THE PUBLIC  
25 CONSULTATION PROCESS TO DRAFT ITS OWN GUIDELINES,



## BARRISTERS' REPORTING SERVICE

1 IT'S GOING TO BE VERY HARD TO PREDICT EXACTLY HOW  
2 CLOSE THEY'RE GOING TO MATCH THOSE THINGS THAT  
3 PEOPLE ARE ALREADY DOING IN TERMS OF BOTH PROCESS  
4 AND SUBSTANCE.

5 CHAIRMAN LO: I THINK THIS IS SOMETHING WE  
6 NEED TO KEEP OUR EYES PEARED TO BECAUSE IT'S  
7 CERTAINLY GOING TO CHANGE OVER THE NEXT ONE TO TWO  
8 YEARS. ANY OTHER?

9 SO WHAT I HEARD TODAY -- FIRST OF ALL,  
10 THANKS TO ALL FOR TWO DAYS OF VERY SORT OF  
11 STIMULATING, WIDE-RANGING, GOOD DISCUSSION. I THINK  
12 I WANT TO SORT OF GO BACK TO WHAT SHERRY HAS BEEN  
13 SAYING SINCE WE FIRST CONVENEED. AND THAT'S OUR ROLE  
14 TO TRY AND SORT OF THINK ABOUT THE MOST DIFFICULT,  
15 COMPLICATED ISSUES AND MAKE SURE THAT CIRM REALLY  
16 ADDRESSES ALL THE ETHICAL ISSUES INVOLVED IN THE  
17 RESEARCH IT FUNDS SO THAT THE PEOPLE OF CALIFORNIA  
18 CAN BE CONFIDENT THAT WE HAVE THOUGHT ABOUT THE  
19 ISSUES AND MAKE SOME SENSIBLE RECOMMENDATIONS.

20 WE HAVE HEARD CHALLENGES TODAY THAT WITH  
21 CLINICAL TRIALS THERE'S A NEW SET OF SCIENTIFIC  
22 ISSUES, BUT A NEW SET OF ETHICAL AND POLICY ISSUES.  
23 AND I THINK A COUPLE OF OUR SPEAKERS PUT IT VERY  
24 WELL, THAT WE DON'T WANT TO TRY AND REINVENT THE  
25 WHEEL; BUT WHERE THE WHEEL CAN BE IMPROVED OR WHERE

## BARRISTERS' REPORTING SERVICE

1 WE CAN ADD A SPOKE, WE SHOULD CERTAINLY THINK ABOUT  
2 DOING THAT.

3 SO LISTENING TO THE DISCUSSION TODAY, IF  
4 GEOFF CAN FIND THE SLIDE, IT'S THE VERY LAST ONE,  
5 THERE WERE MAYBE FOUR THINGS THAT I HEARD AS IDEAS  
6 TO PURSUE. AND MY PROPOSAL IS THAT WE NOT DO ANY  
7 FORMAL VOTING, BUT THAT WE IDENTIFY ISSUES THAT WE  
8 WANT GEOFF AND STAFF TO THINK MORE ABOUT IN  
9 CONJUNCTION WITH ALAN AND MARIE. AND WHAT GEOFF HAS  
10 DONE IN THE PAST FOR US IS ON DIFFERENT ISSUES  
11 PREPARED POLICY BRIEFS WHERE HE LAYS OUT DIFFERENT  
12 OPTIONS FOR ADDRESSING THE ISSUE, MAYBE PROVIDES  
13 MORE BACKGROUND, AND ALSO THE ARGUMENTS FOR AND  
14 AGAINST DIFFERENT OPTIONS. SO TO KIND OF LET US  
15 TAKE THE NEXT STEP IN KIND OF THINKING ABOUT WHAT,  
16 IF ANYTHING, MIGHT WE WANT TO RECOMMEND ON THESE  
17 FOUR ISSUES.

18 SO LET ME GO FROM THE BOTTOM BECAUSE WHAT  
19 I HEARD TODAY WAS A LOT OF CONCERN ABOUT WANTING TO  
20 HAVE A WAY OF PROVIDING FREE CARE TO PARTICIPANTS  
21 WHO SUFFERED INJURY AS, ALTA, IT WOULD BE DIRECT AND  
22 PROXIMATE COMPLICATIONS OF PARTICIPATING IN A  
23 CLINICAL TRIAL AT NO COST TO THEM.

24 GOING BACK UP TO NO. 3, SOME DISCUSSION OF  
25 WHETHER WE SHOULD, THIS IS, AGAIN, FOR ONLY

## BARRISTERS' REPORTING SERVICE

1 CIRM-FUNDED RESEARCH, IN CLINICAL TRIALS CALL FOR  
2 SOME ASSESSMENT OF COMPREHENSION OF PARTICIPANTS,  
3 THAT THEY UNDERSTAND THE KEY ASPECTS OF THE TRIAL SO  
4 THEY' RE REALLY INFORMED.

5 SECOND, A TIMELY DISCUSSION BY CIRM-FUNDED  
6 RESEARCHERS OF FINDINGS OF CLINICAL TRIALS INCLUDING  
7 NEGATIVE RESULTS. AND THIS ISSUE WILL BE  
8 COMPLICATED BECAUSE WE HEARD A LOT OF CAUTIONS THAT  
9 SOME COMPANIES WOULD BE VERY CONCERNED ABOUT  
10 DISCLOSING PROPRIETARY INFORMATION, TRADE SECRETS  
11 THAT GIVE THEM A COMPETITIVE ADVANTAGE WHICH THEY  
12 WANT TO MAINTAIN. SO THIS WOULD BE PERHAPS A  
13 DILEMMA BECAUSE THERE ARE REASONS TO WANT  
14 DISSEMINATION OF THOSE FINDINGS, BUT ALSO CONCERNS  
15 THAT INSISTING ON THEM MAY ACTUALLY DETER COMPANIES  
16 FROM ENTERING INTO TRIALS FUNDED BY CIRM.

17 AND THE FIRST ONE ON THE LIST WHICH I  
18 THINK WE NEED A LITTLE MORE CLARIFICATION OF IS  
19 CONVENING SOME SORT OF CONSENSUS MEETING INVOLVING  
20 SCIENTISTS AS WELL AS FDA, IF THAT'S POSSIBLE, TO  
21 HELP CLARIFY WHAT THE STANDARDS WILL BE FOR  
22 PRECLINICAL REQUIREMENTS FOR FDA APPROVAL. AND THIS  
23 GOES BACK, I THINK, TO WHAT -- I'M TRYING TO  
24 REMEMBER. SOMEBODY OVER ON THE LEFT THERE SAID  
25 YESTERDAY, I THINK IT WAS JOSE, THAT IF HE SUBMITS A

**BARRISTERS' REPORTING SERVICE**

1     PROTOCOL TO FDA, HE CAN'T CALL UP FDA AND SAY I'M  
2     THINKING ABOUT DOING A STEM CELL STUDY ON DISEASE X  
3     WITH THIS KIND OF STEM CELLS. GIVE ME SOME IDEA OF  
4     WHAT I'M GOING TO BE REQUIRED TO DO BASED ON WHAT  
5     YOU'VE SEEN AND YOUR EXPERIENCE.

6             AS MARIE HAS POINTED OUT, THAT THEY CANNOT  
7     DISCLOSE CONFIDENTIAL INFORMATION, AND SO WHAT  
8     THEY'LL SAY IS SEND US A PROPOSAL AND WE'LL GET BACK  
9     TO YOU AS TO WHETHER WE THINK IT'S ACCEPTABLE. THAT  
10    MAY NOT BE THE MOST EFFICIENT WAY OF DOING IT. AND  
11    SO THE GOAL OF THESE KIND OF MEETINGS WOULD BE JUST  
12    TO SORT OF DEVELOP A CONSENSUS STANDARD IN THE  
13    FIELD.

14            NOW, I KNOW THAT ALTA ALREADY HAS IDEAS  
15    FOR CONVENING SUCH A MEETING THROUGH THE UNIVERSITY  
16    OF WISCONSIN MADISON.

17            DR. CHARO: WE'RE WAITING FOR A RESPONSE  
18    FROM THE FDA.

19            CHAIRMAN LO: AND THEN I THINK UCI AND THE  
20    UCSD, IS THAT RIGHT, ARE THINKING ABOUT THIS AS  
21    WELL. SO I THINK THERE'S SOME OTHER INTEREST IN  
22    THIS.

23            I THINK I ALSO HEARD SOME CONCERNS ABOUT  
24    SORT OF WHAT EXACTLY WOULD BE THE END POINT, BUT I  
25    THINK -- I WANT TO MAKE SURE THESE WERE THE ISSUES

## BARRISTERS' REPORTING SERVICE

1 WE THOUGHT WE SHOULD TRY AND WORK ON AND TRY AND  
2 CLARIFY, SPECIFY WHAT THESE WOULD BE, AND TO COME  
3 BACK THROUGH E-MAIL AND OUR NEXT MEETING FOR MOVING  
4 AHEAD.

5 MS. LANSING: I JUST WANT TO REEMPHASIZE  
6 WHAT I THINK OUR ROLE IS, AND BACK TO ALAN AND GEOFF  
7 AND MARIE AND EVERYBODY. WHAT I UNDERSTAND IS WE DO  
8 HAVE TIME; BUT AS WE MOVE INTO, NOT THAT MUCH TIME,  
9 CLINICAL TRIALS ARE ALREADY HAPPENING, BUT AS WE  
10 START TO MOVE INTO EVEN MORE, IT'S OUR  
11 RESPONSIBILITY TO ESTABLISH GUIDELINES. I MEAN  
12 THERE ARE GUIDELINES THAT ARE ALREADY THERE, SO  
13 WE'RE NOT REINVENTING THE WHEEL, BUT TO LOOK AT  
14 THEM, MUCH LIKE WE DID WHEN WE DID THE OOCYTE  
15 DONATION, AND TO SEE, OKAY, THIS IS A NEW AREA OF  
16 SCIENCE. CAN WE ADD SOMETHING TO IT, CAN WE ADAPT,  
17 WHATEVER, CAN WE MAKE IT MORE SPECIFIC TO THE  
18 RESEARCH THAT WE'RE DOING IN THE CLINICAL TRIALS  
19 THAT WE'RE DOING?

20 AND I REMEMBER ONE OF THE SPEAKERS, I  
21 BELIEVE IT WAS YOU, SAY WE'RE THE GROUP THAT HAS THE  
22 POWER TO DO THIS. WE'RE THE GROUP THAT IS FUNDING  
23 THIS. WE HAVE THE RIGHT TO DO THIS. AND SO I THINK  
24 THAT'S OUR MISSION. AND I THINK ALL OF THE TOPICS  
25 THAT YOU LISTED ARE GOOD, AND WE MAY THINK OF OTHER

## BARRISTERS' REPORTING SERVICE

1 ONES. I THINK WE KIND OF ALREADY HAVE A SENSE OF  
2 CONSENSUS IN WANTING TO HAVE THIS MEETING THAT  
3 BUILDS CONSENSUS. I THINK, UNLESS I READ EVERYBODY  
4 WRONG, WE REALLY DO BELIEVE IN THE FULL  
5 DISSEMINATION OF INFORMATION. I THINK THAT'S A  
6 PRETTY EASY ONE. AND WE REALLY DO WANT THE PATIENTS  
7 TO UNDERSTAND FULLY, AND WE'RE GOING TO FIGURE OUT  
8 HOW, MUCH LIKE WE DID WITH OOCYTE DONATION, BETTER  
9 THAN THE USUAL CLINICAL TRIAL SITUATION.

10 AND FREE CARE IS SOMETHING THAT WE HAVE TO  
11 TALK ABOUT. I DIDN'T SENSE THERE WAS A CONSENSUS.

12 I REALLY FEEL, YOU KNOW, ALAN, THAT WE'LL  
13 MAYBE COME UP WITH OTHER THINGS, BUT WE HAVE THE  
14 OBLIGATION AND THE RESPONSIBILITY, THIS GROUP, TO  
15 RECOMMEND TO THE FULL BOARD OF THE ICOC WHAT WE  
16 THINK ARE THE BEST PRACTICES FOR CLINICAL TRIALS.

17 AND SINCE I CANNOT LET THIS GO, I WOULD  
18 LIKE ALSO A DISCUSSION OF SHAM SURGERY. I REALLY  
19 THINK THIS IS -- I HAVE TO SAY AS A PATIENT  
20 ADVOCATE, I THINK THIS WILL BE A BIG DEAL IF WE JUST  
21 KIND OF IGNORE THIS ISSUE AND THEN IT HAPPENS, AND I  
22 HAVE TO THINK THE PATIENT ADVOCACY COMMUNITY. SO  
23 WHETHER THAT'S A SEPARATE MEETING, WHETHER WE BRING  
24 EXPERTS TOGETHER, I WOULD LIKE TO ADD THAT AS WELL.

25 CHAIRMAN LO: SO WHY DON'T WE PUT THAT UP

**BARRISTERS' REPORTING SERVICE**

1 AS NO. 5. AND SHERRY AND I TALKED AT ONE OF THE  
2 BREAKS, AND ONE WAY TO START THAT MIGHT BE TO  
3 ACTUALLY HAVE A PUBLIC MEETING ON SHAM SURGERY AND  
4 BRING IN PEOPLE WHO HAVE THOUGHT ABOUT IT, WRITTEN  
5 ABOUT IT, AND SOME SPECIFIC EXAMPLES.

6 MS. LANSING: DISCUSS ALTERNATIVES.

7 DR. TROUNSON: SO, SHERRY, IT'S PROBABLY  
8 EVEN A LITTLE BROADER THAN THAT BECAUSE, AS I  
9 UNDERSTAND, SOME OF THE MEMBERS OF OUR BOARD AND  
10 OTHERS WHO ARE ASSOCIATED WITH THIS, THERE'S A NEED  
11 FOR THE PATIENT'S VOICE TO BE HEARD, THE PATIENT  
12 ADVOCATE'S VOICE, PARTICULARLY AT FDA, BUT ALSO YOU  
13 KNOW IT IS HEARD HERE, BUT YOU KNOW THAT THEY HAVE A  
14 SET OF RIGHTS WHERE THEY FEEL THAT THEY SHOULDN'T  
15 NECESSARILY BE IGNORED IN THE PROCESS.

16 AND I THINK THIS IS A REALLY DIFFICULT  
17 AREA BECAUSE YOU'RE TRYING DESPERATELY TO MAKE SURE  
18 THAT WHATEVER THE TRIAL WORK IS IS WELL SOUNDED AND  
19 IT'S GOT SOME PROBABILITY OF BRINGING FORWARD A  
20 TREATMENT BENEFIT. BUT I'VE HEARD FROM SOME OF OUR  
21 PATIENT ADVOCATES THAT THEY FEEL THAT THEIR VOICE  
22 NEEDS TO BE HEARD IN THIS. SO I THINK THAT IS IN  
23 ADDITION TO.

24 MS. LANSING: I TOTALLY AGREE. I MEAN I  
25 THINK EVERY ONE OF THESE ISSUES, OBVIOUSLY WE WANT

**BARRISTERS' REPORTING SERVICE**

1 PUBLIC INPUT, WE ALWAYS WELCOME PUBLIC INPUT, AND I  
2 THINK WE'RE IN SUCH A UNIQUE SITUATION BECAUSE THE  
3 FUNDING CAME FROM THE CITIZENS. DO YOU KNOW? THIS  
4 IS QUITE DIFFERENT, AND SO WE HAVE THE RIGHT AND  
5 RESPONSIBILITY TO RECOMMEND BEST PRACTICES FOR  
6 CLINICAL TRIALS JUST AS WE'VE DONE THROUGHOUT THE  
7 ENTIRE PROCESS. AND YES, I THINK WE WOULD GO  
8 THROUGH THE PROCESS THAT WE ALWAYS GO THROUGH WHERE  
9 WE WOULD HAVE PUBLIC SESSIONS AND WE WOULD ASK FOR  
10 PUBLIC INPUT. I THINK THAT'S VERY IMPORTANT.

11 MR. SHEEHY: I JUST MIGHT MAKE THE SHAM  
12 SURGERY A LITTLE BIT BIGGER TOPIC AND ABOUT PLACEBO  
13 CONTROLLED TRIALS.

14 MS. LANSING: THAT'S FINE.

15 DR. TAYLOR: I THINK THAT'S --

16 MR. SHEEHY: AND THAT'S PART OF IT.

17 DR. TAYLOR: IT'S A BROADER ISSUE.

18 MS. LANSING: THAT'S FINE.

19 CHAIRMAN LO: OTHER THOUGHTS, COMMENTS,  
20 REACTIONS? MARIE, PLEASE.

21 DR. CSETE: I'D LIKE TO FRAME, SHERRY, A  
22 LITTLE BIT DIFFERENTLY WHAT YOU SAID, THAT RATHER  
23 THAN US DEVELOPING AND ESTABLISHING GUIDELINES, THAT  
24 WE NEED TO SCRUTINIZE AND BECOME MUCH MORE FAMILIAR  
25 WITH THE THINGS THAT ARE ALREADY OUT THERE AND



## BARRISTERS' REPORTING SERVICE

1 IDENTIFY AREAS IN WHICH PERHAPS THINGS WOULD GO  
2 THROUGH AN IRB, THINGS WHICH WOULD GO THROUGH AN IND  
3 APPROVAL WHERE WE WOULD NOT BE COMFORTABLE. I THINK  
4 IT WOULD BE -- IT'S ALREADY -- YOU'RE ALREADY  
5 ESTABLISHING ANOTHER FULL-TIME JOB FOR US, WHICH IS  
6 A VERY IMPORTANT ONE, BUT I DON'T THINK WE WANT TO  
7 BE WRITING GUIDELINES. I THINK, RATHER, WE'D WANT  
8 TO BE HAVING AN ONGOING DIALOGUE WITH THE PEOPLE WHO  
9 HAVE THE ENFORCEMENT ABILITY IN ESTABLISHING  
10 GUIDELINES, ADVISING THEM.

11 AND RIGHT NOW THE STATE OF THE FIELD IS  
12 SUCH THAT I THINK I WOULD ENCOURAGE YOU, AS WE'RE  
13 DEVELOPING A CONSENSUS CONFERENCE FOR PRECLINICAL  
14 KINDS OF STUDIES, TO MAKE IT DISEASE SPECIFIC  
15 BECAUSE OTHERWISE WE'RE GOING TO GET -- ALL OF THE  
16 QUESTIONS THAT WERE RAISED HERE DO NOT HAVE RIGHT  
17 ANSWERS. AND I WILL GUARANTEE YOU THAT WHEN YOU GET  
18 PARKINSON'S CONSENTS THAT CAME UP SO MUCH, EXPERTS  
19 IN THE ROOM, THEY WILL NOT AGREE ON WHAT THE  
20 PRECLINICAL AND CLINICAL STANDARDS ARE ALONG THE  
21 WAY. BUT HEARING THE RANGE OF OPTIONS AND THE  
22 REASONS FOR PEOPLE LANDING ON THEM WITH GOOD,  
23 ETHICAL, AND SCIENTIFIC FRAMEWORKS IS IMPORTANT FOR  
24 US TO HEAR.

25 DR. TROUNSON: MAYBE NOT NECESSARILY

## BARRISTERS' REPORTING SERVICE

1 PARKINSON' S.

2 DR. CSETE: WHATEVER.

3 DR. TROUNSON: IF WE'RE INTO SPINAL REPAIR  
4 OF SPINAL INJURY, MAYBE THAT ONE.

5 DR. CSETE: MACULAR DEGENERATION.

6 DR. TROUNSON: SHOULD BE OR MACULAR  
7 DEGENERATION.

8 DR. CSETE: SOMETHING WE FUND.

9 DR. TROUNSON: THAT WE'RE VERY CLOSE.  
10 MAYBE THEY'RE THE KIND OF THINGS BECAUSE PARKINSON' S  
11 MAY STILL BE SOME TIME OFF, AND, YOU KNOW, STANDARDS  
12 MIGHT SHIFT AND STUDIES MIGHT MAKE IT CLEARER. SO,  
13 YOU KNOW, THE RELEVANCE, I THINK, FOR US IS TO GET  
14 AS CLOSE AS POSSIBLE TO WHAT WE MIGHT BE INVOLVED  
15 IN.

16 CHAIRMAN LO: I JUST WANTED TO SORT OF ASK  
17 MARIE A CLARIFYING QUESTION. SO YOUR CONCERNS ABOUT  
18 NOT ISSUING NEW GUIDELINES, IS THAT MAINLY ON  
19 QUESTION ONE, OR WOULD YOU SAY ALSO FOR TWO, THREE,  
20 AND FOUR, THAT YOU WOULD NOT WANT TO SEE THE SWG OR  
21 ICOC RECOMMEND NEW GUIDELINES OR EVEN REGULATION FOR  
22 OUR GRANTEES.

23 DR. CSETE: TIMELY DISSEMINATION OF  
24 FINDINGS WE DO TO THE BEST OF OUR ABILITY ALREADY,  
25 AND WE HAVE MECHANISMS IN PLACE FOR DOING THAT. WE

**BARRISTERS' REPORTING SERVICE**

1 HAVEN' T COME TO CLINICAL TRIALS, SO WE CAN' T INCLUDE  
2 NEGATIVE RESULTS OF CLINICAL TRIALS YET. BUT WE' RE  
3 TRYING -- WE ARE WORKING WITH OUR INVESTIGATORS TO  
4 GET THE NEGATIVE RESULTS OF THAT PRECLINICAL WORK  
5 OUT THERE.

6 MR. SHESTACK: THERE' S A NEED TO GET THIS  
7 WORK OUT THERE, THE NEGATIVE RESULTS OUT THERE.

8 DR. CSETE: SO FIRST OF ALL, WE' RE JUST  
9 GETTING RESULTS FOR THE VERY FIRST TIME FROM SEED  
10 GRANTS, FOR EXAMPLE, AND COMPREHENSIVE GRANTS. AND  
11 WHEN WE SEE SOMETHING THAT IS NEGATIVE AND WE ASK  
12 THE INVESTIGATOR, ARE YOU GOING TO PUBLISH THIS, AND  
13 THEY SAY NO, OR WE DON' T THINK WE CAN GET IT  
14 PUBLISHED.

15 WE HAVE TALKED WITH DON ABOUT HAVING THESE  
16 KINDS OF STUDIES OUT ON OUR WEBSITE SO THAT PEOPLE  
17 CAN -- YOU HAVE TO WORK WITH THE INVESTIGATOR  
18 OBVIOUSLY TO DO THAT. AND I THINK THAT' S ABOUT THE  
19 BEST WE CAN DO WITH THE SCIENCE AT THIS POINT. IF  
20 IT CAN' T BE PUBLISHED IN A JOURNAL, WE CAN CERTAINLY  
21 PUBLISH IT --

22 MS. LANSING: THAT' S ALL OUR  
23 OBLIGATION -- I MEAN THAT IS PART OF PROP 71 --

24 DR. CSETE: ABSOLUTELY.

25 MS. LANSING: -- WAS THAT EVERYTHING WOULD

## BARRISTERS' REPORTING SERVICE

1 BE PUBLIC, AND THAT MEANS PUBLIC ON OUR WEBSITE. WE  
2 CAN'T ASK SOMEONE TO PUBLISH IT IN THE *NEW ENGLAND*  
3 *JOURNAL*.

4 DR. CSETE: RIGHT. SO IN TERMS OF THE  
5 CONSENSUS MEETING, I JUST THINK THAT, FIRST OF ALL,  
6 THERE'S A LOT OF THESE KINDS OF MEETINGS COMING  
7 ABOUT NOW. THE ISSCR DOCUMENT WAS TIMELY BECAUSE OF  
8 IT. IT HOPEFULLY WILL BE A DOCUMENT THAT'S IN  
9 EVOLUTION. IN THE GENERAL TERMS, I THINK IF WE DO  
10 THIS RELATIVELY SOON, WE WILL SPEND TWO DAYS HAVING  
11 THE SAME DISCUSSION THAT WE HAD HERE. WE'VE NOW  
12 RAISED THE GENERAL ISSUES AND MADE YOU ALL AWARE OF  
13 THE GENERAL ISSUES, THOUGH I WOULD REALLY ENCOURAGE  
14 PEOPLE TO GO BACK TO SOME OF THE DOCUMENTS THAT HAVE  
15 BEEN PRESENTED TO YOU, INCLUDING THE BELMONT REPORT  
16 AND THE CITY KIND OF TRAINING FOR IRB'S, ETC., SO  
17 THAT YOU KNOW WHAT IS ALREADY THERE BEFORE MAKING  
18 RECOMMENDATIONS ABOUT WHAT CIRM SHOULD DO IN  
19 ADDITION.

20 BUT I THINK WE WILL GET MUCH MORE MEAT OUT  
21 OF A STANDARDS MEETING IF WE GET EXPERTS TO TALK  
22 ABOUT A SPECIFIC PROBLEM BECAUSE THEN I THINK YOU  
23 WILL UNDERSTAND THAT THE NEXT LEVEL OF RESOLUTION IS  
24 THAT PEOPLE WILL ARGUE ABOUT WHAT THE BEST ANIMAL  
25 MODEL IS, PEOPLE WILL ARGUE ABOUT WHAT THE BEST

## BARRISTERS' REPORTING SERVICE

1 CLINICAL OUTCOME -- END POINT FOR ANALYSIS IS IN  
2 BOTH THE ANIMALS AND HUMAN SUBJECTS. AND, YOU KNOW,  
3 PERHAPS THERE WILL BE SOME CONSENSUS THAT COMES OUT  
4 OF THESE THINGS, BUT OFTEN CONSENSUS MEANS THIS IS A  
5 RANGE OF PRACTICE.

6 MS. LANSING: LET ME ASK YOU A QUESTION  
7 BECAUSE BERNIE ASKED A SPECIFIC QUESTION, AND I  
8 DON'T THINK THERE'S DISAGREEMENT, BUT MAYBE I'M  
9 MISUNDERSTANDING IT. NONE OF US ARE SUGGESTING THAT  
10 WE START FROM SCRATCH. I MEAN I DON'T THINK ANYONE  
11 IS SAYING THAT. WE'RE ALL SAYING THERE ARE EXISTING  
12 GUIDELINES. YOU KNOW THEM BETTER THAN WE DO. AND  
13 WE'RE ACTUALLY LOOKING TO YOU TO RESPOND TO THESE  
14 ISSUES. SO YOU'RE RESPONDING TO THE FIRST ONE BY  
15 SAYING IF IT'S NOT DISEASE SPECIFIC, IT'S TOO HARD.

16 DR. CSETE: NO. I DIDN'T SAY IT'S TOO  
17 HARD. WE'RE JUST NOT GOING TO MAKE PROGRESS. I  
18 THINK WE'LL GET MORE MEAT OUT OF SOMETHING SPECIFIC.

19 MS. LANSING: WHAT WE'RE ASKING YOU TO DO,  
20 I THINK, BERNIE, CORRECT ME IF I'M WRONG, THESE ARE  
21 THE ISSUES THAT CAME OUT OF THESE TWO DAYS. AND  
22 MAYBE THERE WILL BE SOMETHING ELSE. MAYBE WE'LL  
23 E-MAIL EACH OTHER AND THERE WILL BE SOMETHING ELSE.  
24 CAN YOU LOOK AT THESE ISSUES AND SAY HOW YOU WOULD  
25 SUGGEST THIS GROUP, WORKING WITH YOU, TACKLES THESE

## BARRISTERS' REPORTING SERVICE

1 ISSUES? THAT'S ALL WE'RE ASKING YOU TO DO, AND YOU  
2 MAY SAY JUST WHAT YOU SAID ABOUT THE FIRST ONE.  
3 UNLESS IT'S DISEASE SPECIFIC, YOU'RE NOT GOING TO  
4 GET CONSENSUS. WITH THE SECOND ONE, YOU'RE SAYING  
5 WE DO THAT ALREADY, AND THIS IS HOW WE DO IT.

6 SO IF YOU'RE IN AGREEMENT, WE CAN PUT THAT  
7 IN BEST PRACTICES, BUT IT'S ALREADY IN PROP 71. I  
8 CAN'T GO -- THE OTHER ONE, THAT'S SORT OF UP TO US  
9 TO SEE HOW WE CAN GET INFORMED CONSENT TO BE BETTER.  
10 AND FREE CARE IS A WHOLE THING THAT WE WOULD HAVE TO  
11 DO, AND SHAM SURGERY IS A WHOLE -- PLACEBO IS A  
12 WHOLE OTHER THING THAT WE WANT TO DISCUSS. THAT'S  
13 ALL.

14 CHAIRMAN LO: WHAT I'M SUGGESTING IS THAT  
15 IF THESE ARE ISSUES THAT SWG HAS IDENTIFIED AS BEING  
16 WORTH PURSUING, THAT GEOFF AND I AND SHERRY WORK  
17 TOGETHER TO KIND OF FLESH THESE OUT AND MAKE THEM  
18 MORE SPECIFIC AND THEN COME BACK AND WORK WITH MARIE  
19 AND ALAN AND OTHERS AT CIRM TO SAY WHAT'S NOT  
20 FEASIBLE, WHAT'S ALREADY BEING DONE. AND ON THINGS  
21 WHERE THERE IS A SORT OF A GAP OR OPPORTUNITY, JUST  
22 SORT OF IDENTIFY OPTIONS HOW TO PROCEED NEXT, AND  
23 THEN COME BACK TO THE SWG.

24 DR. CSETE: I JUST WANT TO MAKE A  
25 CAUTIONARY NOTE ABOUT NO. 3. THERE'S HUGE PATIENT

## BARRISTERS' REPORTING SERVICE

1 CONFIDENTIALITY ISSUES IN THERE, AND OUR ACCESS TO  
2 PATIENTS IS SOMETHING WE'D HAVE TO EXAMINE VERY  
3 CAREFULLY.

4 CHAIRMAN LO: WELL, WE WERE THINKING OF  
5 SOMETHING SIMILAR TO THE REQUIREMENT FOR OOCYTE  
6 DONATION WHERE WE'RE NOT GOING TO BE ASSESSING IT  
7 OURSELVES, BUT THERE NEEDS TO BE A PLAN IN THE  
8 PROTOCOL THAT THE INVESTIGATOR HAVE SOME WAY OF  
9 ASSESSING THE COMPREHENSION AND THAT THE LOCAL IRB  
10 HAS APPROVED THAT. SO AGAIN, IT'S A MODEST FIRST  
11 STEP, BUT IT DOES BREAK THE MOLD OF SAYING AS LONG  
12 AS YOU PUT IT IN THE CONSENT FORM, WE'RE OKAY.

13 DR. CSETE: RIGHT. SO, SHERRY, JUST SO  
14 YOU KNOW TOO, WE FULLY ANTICIPATED THAT AS THINGS  
15 MOVE TOWARDS CLINICAL TRIALS, JUST AS IN ANY OTHER  
16 SPONSORING AGENCY, THAT THE WHOLE IRB APPLICATION  
17 AND CONSENT FORMS AND ALL THAT WOULD BE PART OF WHAT  
18 GETS REVIEWED. AND SO THAT'S ALREADY ALSO PART.

19 DR. TROUNSON: BUT I THINK, BERNIE,  
20 THERE'S ALWAYS THE OPTION TO IMPROVE UPON WHAT WE'RE  
21 DOING. AND SO IT'S A MATTER OF UNDERSTANDING WHERE  
22 WE CURRENTLY ARE, BUT WHERE WE MAYBE SHOULD MOVE TO  
23 TO IMPROVE IT BECAUSE, YOU KNOW, WE ARE EXPECTED, I  
24 THINK THE WORLD IS LOOKING AT US FOR LEADERSHIP IN  
25 THESE AREAS. SO IT'S IMPORTANT THAT YOU KNOW THAT

## BARRISTERS' REPORTING SERVICE

1 YOUR MESSAGES GET TO US, AND WE CAN SEE WHERE WE  
2 LOGISTICALLY AND LEGALLY AND APPROPRIATELY CAN MOVE  
3 OUR WHOLE PROCESSES TO INCORPORATE THESE. AND THEN  
4 I THINK THAT BECOMES THE MOVING FRONT, AND IT WILL  
5 KEEP MOVING, IT'S A MOVING FRONT, AND I THINK IT'S  
6 THEN VERY WORTHWHILE TO HAVE YOUR INPUTS IN DOING  
7 THAT.

8 CHAIRMAN LO: AND AGAIN, I JUST WANT TO  
9 STRESS THAT THIS IS THE BEGINNING OF A PROCESS. AND  
10 WE MAY COME BACK AT THE NEXT MEETING AND SAY, WELL,  
11 NO, NO. 17 WON'T WORK. NO. 18 WE'RE DOING ALREADY.  
12 NO. 19 IS A BAD IDEA WHEN WE THOUGHT ABOUT IT MORE.  
13 SO WE'RE NOT SAYING WE DEFINITELY WANT TO PUSH IT.  
14 THESE ARE TOPICS THAT WE THINK BEAR MORE THOUGHT AND  
15 SORT OF INVESTIGATION.

16 JEFF.

17 MR. SHEEHY: I JUST THINK NOW THAT WE'RE  
18 GOING INTO CLINICAL TRIALS, THE DISSEMINATION OF  
19 NEGATIVE RESULTS, I DO THINK WE NEED TO REEXAMINE.  
20 HAVING BEEN ON THE IP TASK FORCE AND LOOKED AT SOME  
21 OF OUR REPORTING REQUIREMENTS, THEY REALLY TENDED TO  
22 FOCUS ON DISCLOSURE OF SUCCESS. AND WE WERE REALLY  
23 LOOKING AT PATENTS. WE WERE LOOKING ABOUT WHEN YOU  
24 FILE A PATENT, WHEN YOU PUBLISH. IN CLINICAL TRIALS  
25 WE MAY ONLY -- WE'RE ONLY GOING TO BE FUNDING ONE



## BARRISTERS' REPORTING SERVICE

1     PIECE OF IT.  AND I REALLY THINK WE SHOULD BE VERY  
2     EMPHATIC ABOUT GETTING NEGATIVE TRIAL RESULTS  
3     RELEASED BROADLY, QUICKLY.  AND THERE'S NO INCENTIVE  
4     FOR A COMPANY TO DO THAT.  AND COMPANIES WILL BE THE  
5     ONES THAT ARE DOING CLINICAL TRIALS.  I MEAN THEIR  
6     STOCK -- THEY'RE GOING TO WANT TO TIME IT SO THEY  
7     CAN CASH OUT THEIR STOCK.  I'M NOT THAT CYNICAL.  I  
8     TAKE THAT BACK.

9             BUT LET'S BE PERFECTLY HONEST.  THERE'S A  
10    DIFFERENT MOTIVATION GOING ON, AND HAVING LISTENED  
11    TO THE DISCUSSION, I'M HORRIFIED BY THE THOUGHT THAT  
12    SOMEONE COULD BE, EVEN IF IT'S NOT A CIRM-FUNDED  
13    TRIAL, COULD BE ENROLLED IN A TRIAL IN A DIFFERENT  
14    SETTING THAT HAS ALL OF THESE DIFFERENT RISKS WHEN  
15    WE KNOW THAT THAT PARTICULAR APPROACH DIDN'T WORK  
16    AND THAT THE COMPANY IS SITTING ON THE DATA, FOR  
17    WHATEVER REASON, MAY BE MOTIVATING THEM NOT TO DO IT  
18    IMMEDIATELY.  AND I THINK NOW THAT WE'RE COMING TO  
19    THIS PHASE, WE SHOULD REALLY LOOK AT WHAT KIND OF  
20    REGULATORY ACTION WE CAN TAKE.

21            DR. KIESSLING:  I WOULD ACTUALLY REALLY  
22    LIKE TO SEE THIS GROUP PUT SOME PRESSURE ON THE  
23    MAJOR JOURNALS.  THIS IS A RECURRING PROBLEM THAT  
24    YOU CAN'T -- THERE'S TWO KINDS OF THINGS YOU CAN'T  
25    GET PUBLISHED.  YOU CAN'T GET THE REPEAT STUDY

## BARRISTERS' REPORTING SERVICE

1 PUBLISHED. NOBODY WANTS TO PUBLISH THE REPEAT AND  
2 CONFIRMATORY. AND NOBODY WANTS TO PUBLISH A  
3 NEGATIVE RESULT. BUT I THINK THAT A LETTER FROM  
4 THIS GROUP OR SOMEBODY BRINGING THIS UP TO LIKE THE  
5 *NEW ENGLAND JOURNAL* OR *JAMA* OR *CELL* OR ANY OF THE  
6 MAJOR JOURNALS THAT DON'T LIKE TO PUBLISH THESE  
7 THINGS, THAT THIS IS REALLY IMPORTANT  
8 PATIENT-RELATED INFORMATION.

9 DR. TROUNSON: AND, I THINK THIS IS  
10 CHANGING TO BE -- YOU KNOW, THE WORK WITH THE MAJOR  
11 JOURNALS, IT IS MUCH EASIER NOW TO GET THAT SECOND  
12 PAPER AND THIRD PAPER PUBLISHED IN THOSE TOP  
13 JOURNALS. SO IT IS A MATTER FOR US TO BE STERN AND  
14 ENCOURAGING ABOUT IT, AND IF WE'RE ON EDITORIAL  
15 BOARDS, TO DO THAT. AND I CERTAINLY AM. AND SO,  
16 YOU KNOW, I THINK NEGATIVE RESULTS, AS I SAID  
17 BEFORE, ARE JUST AS IMPORTANT, MAYBE EVEN MORE  
18 IMPORTANT THAN THE POSITIVE ONES BECAUSE IT MIGHT  
19 SAVE SOME PATIENTS GOING PLACES TO GET TREATMENT  
20 WHICH WILL BE REGRETTABLE, DANGEROUS, AND  
21 UNNECESSARY IF THEY HAD THAT FURTHER INFORMATION.

22 MR. SHESTACK: I JUST WANTED TO CONFIRM  
23 THIS. WE HAD A SITUATION IN AUTISM WHERE SOMEBODY  
24 DID A TREMENDOUS AMOUNT OF RESEARCH ON GENETICS OF,  
25 SAY, HEAVY METALS AND CLEARANCE OF HEAVY METALS.

## BARRISTERS' REPORTING SERVICE

1 AND THEY DIDN' T GET ANY RESULT -- THEY DIDN' T GET  
2 THE RESULTS THAT THE PEOPLE WHO PAID FOR THE STUDY  
3 WANTED. SO THEY JUST MOVED ON TO A DIFFERENT SET OF  
4 HEAVY METALS, AND PERHAPS IT MIGHT HAVE CHANGED A  
5 CERTAIN DEBATE SIGNIFICANTLY. WHO KNOWS?

6 BUT WHAT I WANTED TO ASK TO BE PUT ON THE  
7 SUGGESTION FOR THE WORKING GROUP IS MORE IS -- I  
8 DON' T EVEN KNOW THE RIGHT WORD -- MAYBE SORT OF  
9 PRAGMATICS, WHICH IS TO SAY WHAT CIRM CAN DO TO HELP  
10 PEOPLE IF FUNDS MEET THE STANDARDS THEY NEED TO MEET  
11 IN ORDER TO GO ON TO THE NEXT STAGE OF A TRIAL. IF,  
12 FOR INSTANCE, THE GOLD STANDARD IS THAT YOU CONDUCT  
13 YOUR INITIAL INVESTIGATION WITH TWO ANIMALS AND HAVE  
14 A REPLICATION SET, THAT' S TRUE. NOBODY WANTS TO PAY  
15 FOR THAT. NOBODY WANTS TO EVEN WRITE THE GRANT FOR  
16 THAT. THAT IS JUST A DRAG AND IT NEVER GETS DONE,  
17 BUT YOU NEED TO DO IT.

18 SO WHY WOULDN' T -- AND THAT IS THE GOLD  
19 STANDARD. SO WHY WOULDN' T CIRM, FOR INSTANCE, SET  
20 ASIDE A TRACK OR A FUND OR SOME KIND OF MECHANISM  
21 THAT COMMISSIONS IT AND JUST GETS IT DONE? YOU  
22 HAVE. WELL, THEN GREAT. AND IF THERE IS ANYTHING  
23 LIKE THAT THAT ARE SORT OF HAVING TO DO WITH BOTH  
24 STANDARDS AND THEN SOME PRAGMATIC THINGS THAT CIRM  
25 CAN DO TO HELP FUND THESE TO MEET THOSE STANDARDS,

**BARRISTERS' REPORTING SERVICE**

1 THAT WOULD TRULY BE, I THINK, KEEPING IN MIND THE  
2 GOALS OF THE CITIZENS OF CALIFORNIA WHO WOULD LIKE  
3 TO GET THESE THINGS EITHER TO WORK OR NOT WORK  
4 FASTER.

5 DR. TROUNSON: WELL, I THINK THAT'S REALLY  
6 WHAT WE CALL TRANSLATION, JOHN. THAT'S OUR  
7 PERSPECTIVE OF IT, THAT, YOU KNOW, ACADEMIA DOESN'T  
8 LIKE DOING IT MUCH BECAUSE IT'S HARD TO GET SOME OF  
9 THAT STUFF PUBLISHED, AS YOU SAY. IT'S DULL, IT'S  
10 INTELLECTUALLY NOT NECESSARILY SO CHALLENGING. BUT  
11 THAT'S WHAT WE'RE SORT OF HARD CASING IN ON TO TRY  
12 AND SUPPORT THAT AT THE CURRENT TIME ACROSS A  
13 BROADER SPECTRUM AS WE THINK IS APPROPRIATE.

14 MR. SHESTACK: SO WHAT'S THE SPECIFIC  
15 PROGRAM THAT DOES IT?

16 DR. TROUNSON: IT'S THE EARLY  
17 TRANSLATIONAL STUDIES THAT DO THESE THINGS  
18 SPECIFICALLY. BUT ALSO THE DISEASE TEAMS WILL HAVE  
19 A COMPONENT OF THAT AS WELL.

20 MR. SHESTACK: SO THERE'S EXTRA FUNDING  
21 BUILT IN TO DO THIS MUCH LESS GLAMOROUS WORK?

22 DR. OLSON: THE PRECLINICAL DEVELOPMENT  
23 COMPONENT OF DISEASE TEAMS IS BASICALLY THE SAFETY  
24 AND TOXICOLOGY STUDIES, THE GLP PHARMACOLOGY  
25 STUDIES, THE STUDIES THAT AREN'T NECESSARILY THE

**BARRISTERS' REPORTING SERVICE**

1 SEXY THINGS, BUT THINGS THAT YOU HAVE TO DO TO  
2 ESSENTIALLY FILE A REGULATORY APPLICATION. AND  
3 THEY' RE VERY IMPORTANT.

4 MR. SHESTACK: OKAY. THANK YOU.

5 CHAIRMAN LO: OKAY. SO WITH THAT, I WANT  
6 TO THANK ALL OF YOU FOR A VERY STIMULATING MEETING.  
7 AND THEN GEOFF WILL WORK WITH ALAN AND MARIE AND  
8 SHERRY AND I TO SORT OF DEVELOP THESE IDEAS FURTHER,  
9 AND WE' LL BE BACK TO YOU THROUGH E-MAIL AS WE SORT  
10 OF MOVE AHEAD. THANKS VERY MUCH.

11 (THE MEETING WAS THEN ADJOURNED AT  
12 1:20 P. M. )

13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

**BARRISTERS' REPORTING SERVICE**

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE SCIENTIFIC AND MEDICAL ACCOUNTABILITY STANDARDS WORKING GROUP TO THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

LUXE HOTEL  
11461 W. SUNSET BOULEVARD  
LOS ANGELES, CALIFORNIA  
ON  
FEBRUARY 17 AND 18, 2009

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE DIGITALLY RECORDED AND SUBSEQUENTLY TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.



BETH C. DRAIN, CSR 7152  
BARRISTER'S REPORTING SERVICE  
1072 BRISTOL STREET  
SUITE 100  
COSTA MESA, CALIFORNIA  
(714) 444-4100