

BEFORE THE  
SCIENTIFIC AND MEDICAL RESEARCH FUNDING WORKING GROUP  
OF THE  
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE  
TO THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE  
ORGANIZED PURSUANT TO THE  
CALIFORNIA STEM CELL RESEARCH AND CURES ACT  
REGULAR MEETING

LOCATION: CALIFORNIA INSTITUTE FOR  
REGENERATIVE MEDICINE  
210 KING STREET  
SAN FRANCISCO, CALIFORNIA

DATE: JULY 12, 2006  
7 P.M.

REPORTER: BETH C. DRAIN, CSR  
CSR. NO. 7152

BRS FILE NO.: 76026



1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

I N D E X

ITEM	DESCRIPTION	PAGE NO.
CALL TO ORDER & INTRODUCTIONS		3
UPDATES:		
CIRMS GRANTS ADMINISTRATION POLICY		13
REGULATION ADOPTION		14
CIRM TRAINING GRANT PROGRAM		11
THE SCIENTIFIC CHALLENGE: FROM BASIC RESEARCH TO THE CLINIC		20
ADJOURNMENT		90

1 SAN FRANCISCO, CALIFORNIA; WEDNESDAY, JULY 12, 2006

2 7 P.M.

3

4 DR. HALL: WHY DON'T WE GO AHEAD AND GET  
5 STARTED. FIRST OF ALL, LET ME JUST SAY I THINK  
6 EVERYBODY WAS AROUND THE TABLE IN THE BACK MORE OR  
7 LESS, BUT JUST GIVE A FORMAL WELCOME TO CIRM. IT'S OUR  
8 LOVELY HEADQUARTERS, AS YOU CAN SEE, THAT WE'VE  
9 OCCUPIED SINCE LAST NOVEMBER, AND THEY WERE PART OF  
10 THE --

11 CO-CHAIR SAMUELSON: FREE.

12 DR. HALL: SORRY?

13 CO-CHAIR SAMUELSON: FREE.

14 DR. HALL: YES. CONTRIBUTED BY THE CITY OF  
15 SAN FRANCISCO RENT FREE, AND WE GOT AN ARCHITECT TO  
16 REDO THE WHOLE THING. THIS IS PART OF THE PACKAGE THAT  
17 BOB GOT FOR US IN THE COMPETITION BETWEEN THE VARIOUS  
18 CITIES HERE. AND SO I THINK YOU WILL AGREE IT'S A VERY  
19 PLEASANT SPACE, NICELY SITUATED IN THE CITY. AND SO WE  
20 FEEL VERY FORTUNATE ABOUT IT.

21 THE OTHER THING I WANTED TO DO IS INTRODUCE  
22 YOU TO THE STAFF. THIS IS A PUBLIC MEETING, AND I  
23 THINK, WITH ONE OR TWO TECHNICAL EXCEPTIONS, MOSTLY  
24 CIRM AND ITS FRIENDS HERE TONIGHT. WE DIDN'T QUITE  
25 KNOW WHO WOULD SHOW UP. SEVERAL PEOPLE CLAIMED THEY

1 WOULD BE HERE, BUT HAVEN'T BEEN. SO WE'LL WELCOME THEM  
2 IF THEY COME.

3 WE'RE SMALL ENOUGH THAT I WONDER IF EVERYBODY  
4 COULD JUST GO AROUND THE ROOM AND SAY QUICKLY WHO THEY  
5 ARE AND WHAT THEIR CONNECTION IS. I'LL START AND GO  
6 DOWN THE TABLE THROUGH BOB AND ON AROUND THE ROOM.

7 I'M ZACH HALL, THE PRESIDENT OF THE CIRM.

8 CO-CHAIR ORKIN: I'M STU ORKIN, THE CO-CHAIR  
9 FOR THE GRANTS AND SCIENTIFIC REVIEW COMMITTEE FROM THE  
10 HARVARD MEDICAL SCHOOL. AND PROBABLY THE ONLY OTHER  
11 THING THAT'S RELEVANT MAYBE TO THE CIRM IS THAT I'M NOW  
12 SERVING ON THE NATIONAL ACADEMY HUMAN STEM CELL  
13 GUIDELINES PANEL, WHICH IS THE CONTINUATION OF THE  
14 PREVIOUS ONE. JUST ACTUALLY MET LAST WEEK.

15 CO-CHAIR SAMUELSON: JOAN SAMUELSON, VICE  
16 CHAIR, CO-CHAIR, SOMETHING. PRESIDENT OF PARKINSON'S  
17 ACTION NETWORK, MEMBER OF THE ICOC.

18 MR. KLEIN: I'M BOB KLEIN AND CHAIRMAN OF THE  
19 INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE AND A MEMBER  
20 OF THE INTERNATIONAL JUVENILE DIABETES BOARD, BUT LIKE  
21 DAVID AND JEFF AND THE REST OF THE PATIENT ADVOCATES, I  
22 THINK WE EMBRACE THE ENTIRE COMMUNITY OF PATIENT  
23 ADVOCATES AND THEIR FAMILIES.

24 DR. BRIVANLOU: I'M ALI BRIVANLOU. I'M A  
25 PROFESSOR OF EMBRYOLOGY AT THE ROCKEFELLER UNIVERSITY.

1 I'M ALSO PART OF THE NIH STUDY SECTIONS THAT MAKE  
2 DECISIONS ABOUT EMBRYONIC STEM CELLS. IN ADDITION, I'M  
3 IN CHARGE OF THE ORGANIZATIONAL STUDY COMMITTEE OF THE  
4 TRI-INSTITUTIONAL STEM CELL INITIATIVE.

5 MR. SERRANO-SEWELL: DAVID SERRANO-SEWELL.  
6 I'M A MEMBER OF THE INDEPENDENT CITIZEN'S OVERSIGHT  
7 COMMITTEE.

8 MR. PILLARI: TONY PILLARI WITH  
9 PRICEWATERHOUSECOOPERS. WE'RE ASSISTING THE CIRM IN  
10 PUTTING TOGETHER THE STRATEGIC PLAN.

11 DR. JOYNER: ALEX JOYNER, GENETICIST AT NYU.

12 MS. OLSON: PATRICIA OLSON. I'M WITH THE  
13 CIRM PROGRAM OFFICE, CURRENTLY LEADING THE STRATEGIC  
14 PLAN INITIATIVE WITH THE CIRM.

15 MR. SHEEHY: I'M JEFF SHEEHY, AND I'M ONE OF  
16 THE ADVOCATE MEMBERS OF THE ICOC, THE OVERSIGHT  
17 COMMITTEE. I'M COMMUNICATIONS DIRECTOR AT UCSF AIDS  
18 RESEARCH INSTITUTE, AND I ADVISE SAN FRANCISCO'S MAYOR,  
19 GAVIN NEWSOM.

20 MS. DE LAURENTIS: SUSAN DE LAURENTIS. I'M  
21 THE PRESIDENT OF THE ALLIANCE FOR STEM CELL RESEARCH  
22 AND A COFOUNDER OF THE ELIZABETH GLASER PEDIATRIC AIDS  
23 FOUNDATION.

24 DR. SVENDSEN: CLIVE SVENDSEN, PROFESSOR OF  
25 ANATOMY AND EMBRYOLOGY ADDRESSING STEM CELLS.

1 DR. STEINDLER: I'M DENNIS STEINDLER. I'M  
2 THE DIRECTOR OF THE MCKNIGHT BRAIN INSTITUTE, AND I  
3 STUDY STEM CELLS. AND WHEN I DON'T STUDY THEM, I'M IN  
4 JEB BUSH'S OFFICE TRYING TO GET HIM INTERESTED IN STEM  
5 CELLS.

6 MR. CLAEYS: I'M MICHAEL CLAEYS. I'M A  
7 CONSULTANT WITH THE ALLIANCE FOR STEM CELL RESEARCH AND  
8 ALSO CONSULTANT FOR OTHER PATIENT ADVOCACY PRO STEM  
9 CELL AND PRO RESEARCH ORGANIZATIONS.

10 DR. KIMBLE: I'M JOAN KIMBLE. I'M A  
11 PROFESSOR OF BIOCHEMISTRY AND GENETICS WITH THE  
12 UNIVERSITY OF WISCONSIN, MADISON, AND INVESTIGATOR WITH  
13 HHMI, AND I'M A BASIC SCIENTIST WORKING ON STEM CELLS.

14 DR. MAXON: I'M A MARY MAXON. I'M A CIRM  
15 STAFF MEMBER, ALSO A SCIENTIST, AND WORKING ON THE  
16 STRATEGIC PLANNING TEAM.

17 DR. SAMBRANO: I'M GIL SAMBRANO, AND I'M A  
18 SCIENTIFIC REVIEW OFFICER AT THE CIRM.

19 DR. CHIU: ARLENE CHIU, AND I'M DIRECTOR OF  
20 SCIENTIFIC ACTIVITIES AT THE CIRM.

21 MR. TOCHER: I'M SCOTT TOCHER, NOT A  
22 SCIENTIST, BUT STAFF COUNSEL HERE AT THE CIRM.

23 DR. HALL: BETH, COME ON. YOU'RE A PART OF  
24 THE GROUP AS MUCH AS ANYBODY ELSE.

25 THE REPORTER: I'M BETH DRAIN, THE OFFICIAL

1 REPORTER FOR THE CIRM.

2 DR. HALL: SO WE ARE ENGAGED, AS YOU ALL  
3 KNOW, IN A STRATEGIC PLANNING PROCESS THAT'S QUITE  
4 ELABORATE ACTUALLY OVER A PERIOD OF ABOUT SIX MONTHS IN  
5 WHICH WE ARE TRYING TO PLAN OUR WORK FOR THE NEXT TEN  
6 YEARS. AND WE HAVE BEEN ASSISTED BY THE  
7 PRICEWATERHOUSE CREW, AS YOU HEARD FROM TONY. AND WE  
8 HAVE THREE PARTS TO THIS REALLY. ONE IS INTERVIEWS.  
9 WE ARE INTERVIEWING ABOUT -- PROBABLY END UP  
10 INTERVIEWING SOMEWHERE BETWEEN 65 AND 70 PEOPLE. I  
11 THINK THERE'S A LIST IN YOUR FOLDER OF THE PEOPLE THAT  
12 WE HAVE INTERVIEWED SO FAR, AND YOU CAN SEE IT'S A SORT  
13 OF BROAD RANGE OF PEOPLE, AN INTERNATIONAL GROUP, AND  
14 INVOLVES SCIENTISTS, CLINICIANS, PATIENT ADVOCATES,  
15 PUBLIC INTEREST PEOPLE, ETHICISTS AND SO FORTH. WE'VE  
16 COMPLETED ABOUT 40 OF THOSE INTERVIEWS, AND WE'LL  
17 EXPECT TO FINISH UP IN ANOTHER MONTH OR SO.

18 WE ALSO HAVE A SERIES OF PUBLIC MEETINGS AT  
19 WHICH TOMORROW'S WILL BE ONE EXAMPLE. THESE ARE  
20 MEETINGS THAT WE HOLD SPECIFICALLY FOR ICOC MEMBERS AND  
21 FOR THE PUBLIC. THEY ARE -- ANYBODY IS WELCOME TO  
22 COME. AND THE IDEA IS THAT WE -- IT'S A FORUM FOR  
23 DISCUSSION. THERE ARE NO DECISIONS MADE, BUT IN EACH  
24 CASE WE'VE HAD SPEAKERS, AND THEN WE HAD DISCUSSION ON  
25 POINTS THAT THE SPEAKERS HAVE RAISED. AND I WON'T GO



1 THROUGH THEM. BECAUSE OF THE LATE HOUR AFTER RUNNING  
2 BEHIND, I WON'T GO THROUGH THOSE IN ANY DETAIL.

3 WE HAD ONE ON MAY 25TH THAT REALLY HAD TO DO  
4 WITH FUNDING STRUCTURES. THIS ONE HAS TO DO WITH  
5 FUNDING STRATEGY. AND THEN WE'RE GOING TO HAVE ANOTHER  
6 MEETING INVOLVING THE PRIVATE SECTOR ON JULY 25TH. WE  
7 WANTED YOU MEMBERS OF THE WORKING GROUP PARTICULARLY  
8 FOR THIS ONE BECAUSE THIS IS THE ONE THAT IS EXPLICITLY  
9 DEVOTED TO SCIENTIFIC STRATEGY, AND SO WE'LL HAVE A  
10 CHANCE TO TALK ABOUT THAT TOMORROW. WE HAVE A GREAT  
11 LIST OF SPEAKERS LINED UP, AS YOU SEE THERE. WE ALSO  
12 HAVE SEVERAL FOCUS GROUPS. IN PARTICULAR A PATIENT  
13 ADVOCATES GROUP THAT DAVID AND SUSAN ARE HELPING US PUT  
14 TOGETHER. THEY'LL BE MEETING NEXT MONDAY NIGHT; IS  
15 THAT CORRECT? AND THEN WE HAVE A DIVERSITY GROUP THAT  
16 WILL BE MEETING AT THE END OF AUGUST.

17 AND OUR BOARD HAS BEEN INVOLVED IN TERMS OF  
18 CONSIDERING A MISSION STATEMENT, LONG-TERM OBJECTIVES,  
19 AND THEN THE VALUES THAT WE WANT THE PLAN TO EMBODY.  
20 AND SO WE WILL BRING A DRAFT OF THE PLAN, WE HOPE, BY  
21 THE OCTOBER BOARD MEETING TO THE BOARD FOR THEIR  
22 CONSIDERATION, AND THEN WHATEVER CHANGES, SUGGESTIONS  
23 THEY HAVE WE HOPE THEN TO BRING A FINAL VERSION BACK IN  
24 DECEMBER.

25 SO THIS IS REALLY THE PURPOSE OF THIS

1 MEETING. JOAN SAMUELSON HAS BEEN A VERY STRONG  
2 CHAMPION ALL ALONG OF INVOLVING YOU IN THIS PROCESS,  
3 AND SO WE THOUGHT THIS SEEMED LIKE A GOOD WAY TO DO IT,  
4 AND WE WILL TURN THE MEETING OVER TO HER IN JUST A  
5 MOMENT. WE HAVE A LITTLE BIT OF NEWS WE WANTED TO  
6 BRING YOU ABOUT WHAT WE'VE BEEN DOING.

7 FIRST OF ALL, LET ME JUST SAY THAT WE HAVE AN  
8 UPDATE ON OUR PROGRESS ON THE LEGAL FRONT. SEVERAL OF  
9 YOU HAVE ASKED ME ABOUT THIS. WE WERE SUCCESSFUL IN  
10 GETTING A VERY, VERY STRONG COURT DECISION IN APRIL.  
11 IT HAS BEEN APPEALED PREDICTABLY, AND WE NOW ESTIMATE  
12 THAT THE TIME THAT IT WILL TAKE TO GO THROUGH THE COURT  
13 OF APPEALS AND STATE SUPREME COURT MAY BE AS MUCH AS A  
14 YEAR FROM NOW BEFORE WE ARE ACTUALLY GIVEN ACCESS TO  
15 THE MONEY. IT IS A VERY STRONG DECISION, HOWEVER, AND  
16 THERE IS NO DOUBT IN ANY OF OUR MINDS ABOUT HOW IT'S  
17 GOING TO TURN OUT. IT'S JUST A QUESTION OF WORKING ITS  
18 WAY THROUGH.

19 IN THE MEANTIME BOB KLEIN AND HIS TEAM HAVE  
20 RAISED INITIALLY \$14 MILLION IN LOANS ESSENTIALLY FROM  
21 PHILANTHROPIC INDIVIDUALS AND FOUNDATIONS FOR THE NEXT  
22 TWO YEARS. AND THIS HAS ALLOWED US TO FUND THE FIRST  
23 ROUND OF TRAINING GRANTS THAT YOU EVALUATED LAST SUMMER  
24 AND THAT WERE APPROVED BY OUR BOARD IN SEPTEMBER. AND  
25 YOU HAVE A LIST IN YOUR FOLDERS ALSO OF THOSE, AND I'LL

1 SAY JUST A BIT MORE ABOUT THAT IN A MOMENT.

2 THROUGH THE EFFORTS OF BOB AND HIS TEAM, WE  
3 ALSO EXPECT TO ANNOUNCE SHORTLY ANOTHER LARGER SUM OF  
4 MONEY THAT WE HOPE WILL LET US GO FORWARD WITH AT LEAST  
5 ONE MORE RFA BEFORE THE END -- OR THE FALL. SO I  
6 THINK, EVEN THOUGH WE'RE NOT ABLE TO PARTICIPATE AT  
7 FULL STRENGTH HERE, WE ARE MANAGING TO KEEP SCIENTIFIC  
8 ACTIVITY ALIVE AND TO GET THINGS STARTED, AND WE ARE  
9 VERY PLEASED AND HEARTENED BY THAT IN THE FACE OF ALL  
10 THE DIFFICULTIES.

11 I THINK I'LL GO OUT OF ORDER ON THE THING AND  
12 JUST TALK BRIEFLY ABOUT THE TRAINING GRANTS IF I MIGHT.  
13 ARLENE ORGANIZED A MEETING ON JUNE 16TH OF OUR TRAINING  
14 GRANT DIRECTORS FROM THE 16 PROGRAMS AROUND THE STATE.  
15 AND THAT WAS ACTUALLY TERRIFIC. THEY ALL CAME HERE,  
16 MET IN THIS ROOM, AND PEOPLE TALKED ABOUT THE PLANS AT  
17 THEIR PARTICULAR UNIVERSITIES AND WHAT THEY WERE DOING,  
18 AND WE HAD A CHANCE TO TALK TO THEM ABOUT OUR HOPES AND  
19 OUR EXPECTATIONS FOR THE TRAINING PROGRAMS. AND WHAT  
20 WAS TERRIFIC ABOUT IT WAS, FIRST OF ALL, AS YOU KNOW,  
21 HAVING LOOKED AT THE APPLICATIONS, WE HAVE VARIED  
22 PROGRAMS FROM VERY LARGE SCHOOLS WITH TREMENDOUS AMOUNT  
23 OF RESOURCES AND VERY BROAD PROGRAMS TO SMALL SCHOOLS  
24 WITH HIGHLY FOCUSED PROGRAMS. UNIVERSITY OF CALIFORNIA  
25 SANTA BARBARA, FOR EXAMPLE, SOME OPHTHALMOLOGIC

1 PROBLEMS. WE HAVE NOT ONLY THE USUAL SUSPECTS OF  
2 BIOLOGISTS AND CLINICIANS, BUT WE HAVE COMPUTATIONAL  
3 PEOPLE, WE HAVE CHEMISTRY PROGRAMS THAT ARE STRONG IN  
4 CHEMISTRY, WE HAVE OTHER PROGRAMS THAT ARE VERY STRONG  
5 IN ENGINEERING. AND THAT BREADTH IS ACTUALLY REFLECTED  
6 IN THE FELLOWS WHO ARE BEING APPOINTED.

7 WE HAVE ALREADY APPOINTED ABOUT HALF OF THE  
8 170 FELLOWS THAT WE EXPECT WILL BE APPOINTED. LIKE  
9 STANFORD ANNOUNCED TODAY THAT THEY APPOINTED THEIR 16.  
10 AND SO WE HAVE ALREADY QUITE A RANGE IN TERMS OF THE  
11 FELLOWS. BERKELEY HAS TWO LEGAL RESEARCH FELLOWS AND  
12 AN ETHICIST BEING SUPPORTED. SO WE WERE REALLY VERY  
13 HEARTENED BY THAT, AND SOME OF THE PLANS ARE REALLY  
14 QUITE INTERESTING. THERE'S NOT TIME TO GO INTO THEM,  
15 BUT IT WAS TERRIFIC FOR US TO HEAR WHAT THEY WERE  
16 DOING, FOR THEM TO HEAR WHAT EACH OTHER WAS DOING, AND  
17 WE ALSO HAD A CHANCE TO HEAR FROM THEM ABOUT WHAT SOME  
18 OF THEIR NEEDS WERE, AND THAT WAS INTERESTING FOR US AS  
19 WELL AND IN SOME CASES SURPRISING. SO THAT WAS  
20 IMPORTANT.

21 SO I WANT TO LET ARLENE AND SCOTT JUST BRING  
22 YOU QUICKLY UP TO DATE ABOUT OUR GRANTS ADMINISTRATION  
23 POLICY, WHICH YOU WORKED WITH US ON EARLIER, JUST TO  
24 LET YOU KNOW THAT, AND THEN WE'LL GO AHEAD WITH THE  
25 MAIN PART OF THE MEETING.

1 DR. CHIU: JUST ONE LAST FOLLOW-UP ABOUT THE  
2 TRAINEES IS THAT WE HOPE TO HAVE THE ANNUAL TRAINEES  
3 MEETING PROBABLY NEXT SUMMER, AND WE ASKED THEM TO HELP  
4 US BEGIN THINKING ABOUT HOW TO HAVE A CIRM SCHOLARS  
5 MEETING WHERE THEY COULD COME AND MEET EACH OTHER AND  
6 PERHAPS HAVE POSTERS, ETC. AND SO ANY IDEAS THAT YOU  
7 HAVE ABOUT HOW TO MAKE THIS SORT OF RETREAT A SUCCESS,  
8 WE'D APPRECIATE HEARING FROM YOU LATER ON.

9 BUT I WANTED TO VERY QUICKLY UPDATE YOU ON  
10 THE CIRM GRANTS ADMINISTRATION PROGRAM THAT YOU HAVE  
11 LOOKED AT A NUMBER OF VERSIONS ALREADY. AND THAT  
12 SIMPLY IN 2005 YOU HAVE REVIEWED FOR US THE INTERIM  
13 CIRM GRANTS ADMINISTRATION POLICY FOR THE TRAINING  
14 GRANTS, AND YOU RECOMMENDED APPROVAL THAT WAS  
15 SUBSEQUENTLY APPROVED BY THE ICOC. AND BECAUSE IT WAS  
16 APPROVED, WE COULD GO AHEAD AND AWARD THE TRAINING  
17 GRANTS THAT YOU HEARD ABOUT.

18 THIS YEAR WE'VE BEEN WORKING ON THE GRANTS  
19 ADMINISTRATION POLICY FOR ALL RESEARCH GRANTS FOR  
20 ACADEMIC AND NONPROFIT INSTITUTIONS. AND THAT YOU  
21 HAVE. THE FINAL VERSION IS IN YOUR BOOKLET, A 44-PAGE  
22 DOCUMENT. WHAT HAPPENED WAS IN MARCH, YOU MAY REMEMBER  
23 THAT WE HAD A TELECONFERENCE AND YOU REVIEWED THE  
24 DRAFT, MADE RECOMMENDATIONS THAT CAUSED US TO AMEND THE  
25 DOCUMENT, AND WITH YOUR RECOMMENDATIONS, PRESENTED IT

1 TO THE ICOC, WHO HAD FURTHER RECOMMENDATIONS FOR  
2 CHANGES. SO THAT WAS IN THE APRIL MEETING OF THE ICOC,  
3 AND BY JUNE A REVISED DOCUMENT WAS PRESENTED AND THEN  
4 APPROVED -- TO THE ICOC, WHO THEN APPROVED THAT  
5 DOCUMENT. AND THIS IS THE DOCUMENT THAT YOU HAVE IN  
6 YOUR HANDS TODAY, VERSION 14-C. SO YOU CAN SEE, IT'S  
7 BEEN THROUGH A LOT.

8 WITH THIS APPROVED VERSION, UNLIKE OTHER  
9 POLICIES, IT NOW GOES THROUGH THE ADMINISTRATIVE  
10 PROCEDURES ACT. AND I TURN TO SCOTT TOCHER TO EXPLAIN  
11 HOW THIS BECOMES REGULATIONS IN CALIFORNIA.

12 MR. TOCHER: THIS IS REALLY TRYING TO REDUCE  
13 AN ENTIRE BODY OF LAW TO ONE PAGE, AND THIS IS WHAT WE  
14 COME UP WITH. I'LL BE FAST HERE BECAUSE I KNOW THAT  
15 THERE'S MORE IMPORTANT THINGS TO DO HERE TONIGHT.

16 BUT JUST TO LET YOU KNOW, ALL THAT WORK THAT  
17 YOU DID IN PREPARING THAT 45-PLUS-PAGE GRANTS  
18 ADMINISTRATION MANUAL NOW NEEDS TO ENTER INTO THE  
19 OFFICIAL PHASE OF ACTUALLY BEING CONVERTED INTO A  
20 REGULATION. BECAUSE THIS IS A STANDARD THAT WILL BE  
21 APPLIED TO A SECTOR OF THE REGULATED COMMUNITY, I.E.,  
22 OUR GRANTEES, LAW SAYS THAT THIS MANUAL HAS TO GO  
23 THROUGH THE REGULATORY ADOPTION PROCESS. BASICALLY  
24 THAT'S A PROCESS THAT JUST SEEKS TO ENSURE THAT THE  
25 PUBLIC HAS A CHANCE TO REVIEW ANY REGULATIONS THAT COME

1 DOWN THE ROAD AND HAS AN OPPORTUNITY TO IMPACT THE  
2 OUTCOME.

3 CREATES A LOT OF WORK, BUT ULTIMATELY WHEN IT  
4 ENDS UP DOWN HERE, IT'S GOING TO BE SOMETHING THAT  
5 EVERYONE WILL HAVE A SENSE OF OWNERSHIP IN. RIGHT NOW,  
6 JUST TO GIVE YOU AN IDEA, THIS IS THE CAPITOL THAT  
7 GRANTED US THE AUTHORITY TO ADOPT THE REGS AND STUFF.  
8 BUT, OF COURSE, WE KNOW THAT THE VOTERS DID THAT WITH  
9 PROP 71. THIS IS YOUR WORK HERE WITH CREATING THE  
10 DRAFT OF THE MANUAL.

11 RIGHT NOW WE'RE IN THIS PHASE HERE OF NOW  
12 CONVERTING THAT INTO THE REGULATIONS, PREPARING VARIOUS  
13 DOCUMENTS THAT HAVE TO EXPLAIN WHY EXACTLY WE CAME UP  
14 WITH THE PROVISIONS WE CAME UP WITH, WHAT'S OUR  
15 AUTHORITY FOR DOING SO, AND WHAT WE INTEND TO DO  
16 BECAUSE ONCE THAT GETS PUBLISHED BY THE OFFICE OF  
17 ADMINISTRATIVE LAW, THAT SETS IN MOTION OUR TIMELINES.  
18 SO THE PUBLIC WILL HAVE ABOUT A MONTH AND A HALF WHERE  
19 WE DO NOTHING WITH IT AND WE JUST SIT AND WAIT AND HEAR  
20 BACK BECAUSE WHAT HAPPENS THEN IS FOR EVERY SINGLE  
21 PUBLIC COMMENT THAT THE AGENCY RECEIVES ONCE THIS  
22 PERIOD BEGINS, THE AGENCY MUST CRAFT A RESPONSE,  
23 EXPLAINING EITHER WHY WE DIDN'T FOLLOW THE  
24 RECOMMENDATION; OR IF WE DID, HOW WE MADE THE CHANGE  
25 AND HOW WE THINK THE CHANGE AFFECTS THE SUGGESTION THAT

1 WAS MADE.

2 ANY TIME WE MAKE ANYTHING BUT THE MOST  
3 RUDIMENTARY OR TECHNICAL CHANGE TO OUR DRAFTS THROUGH  
4 OUR REGULATIONS, THAT OPENS UP A NEW 15-DAY CHANGE  
5 RIGHT HERE FOR COMMENT. SO, AS YOU CAN SEE, WHAT THIS  
6 MEANS IS IT'S SORT OF A RATCHETING DOWN OF THE PROCESS.  
7 IT STARTS WITH THE 45 DAYS. YOU MAKE A GROUP OF  
8 CHANGES. OPENS UP FOR PUBLIC COMMENT AGAIN. THAT'S  
9 ANOTHER 15 DAYS. SO YOU KEEP POSTING UNTIL YOU THINK  
10 YOU'VE GOT IT ALL SET. THEN IT COMES BACK TO THE ICOC  
11 FOR A FINAL ROUND AND PERHAPS, DEPENDING, WE MAY  
12 ACTUALLY COME BACK TO THE GROUP FOR A LITTLE ADVICE,  
13 BUT HOPEFULLY JUST TO THE ICOC FOR FINAL APPROVAL. AND  
14 THEN WE GO THE BACK TO THE OFFICE OF ADMINISTRATIVE  
15 LAW. AND IT WILL REVIEW ALL THOSE REGULATIONS FOR  
16 CLARITY AND NECESSITY AND AUTHORITY AND MAKE SURE THAT  
17 WE'VE RESPONDED APPROPRIATELY TO ALL OF THE PUBLIC  
18 COMMENT, AT WHICH POINT, ASSUMING THAT THAT PASSES,  
19 THEN IT'S OFFICIALLY PUBLISHED ABOUT 30 DAYS  
20 AFTERWARDS.

21 SO THIS 45-DAY PROCESS IS WHAT WE'RE GEARING  
22 UP FOR. THAT WILL PROBABLY START ABOUT THE END OF THE  
23 MONTH. THIS 45-DAY CHANGE PERIOD WILL CONCLUDE ABOUT  
24 MIDDLE OF SEPTEMBER, AND THEN WE'LL HAVE A SERIES OF A  
25 FEW PROBABLY 15-DAY ADDITIONAL COMMENT PERIODS, AND



1 HOPEFULLY BRING IT BACK TO THE ICOC FOR PERMANENT  
2 ADOPTION AT ITS DECEMBER MEETING.

3 AND THAT'S WHERE WE STAND.

4 DR. HALL: THANKS VERY MUCH, SCOTT. IT'S  
5 FUNNY. YOU THINK YOU GET \$3 BILLION AND ALL YOU HAVE  
6 TO DO IS GIVE IT OUT, BUT THIS IS QUITE A BIT OF WORK  
7 DONE. AND PARTICULARLY ARLENE, GIL, AND SCOTT HAVE  
8 DONE A TREMENDOUS AMOUNT OF WORK ON THE GRANTS  
9 ADMINISTRATION POLICY, PUTTING THIS DOCUMENT TOGETHER.  
10 I THINK WHEN YOU READ IT, YOU'LL REALIZE AND APPRECIATE  
11 IT IF YOU DO READ IT. BUT AT ANY RATE, ALL THE WORK  
12 THAT'S GONE INTO IT, BUT IT IS THE BASIS FOR OUR GIVING  
13 OUT MONEY, AND VERY IMPORTANT FOR OUR NEXT STEPS.

14 SO WITH THAT SORT OF UPDATE ON OUR  
15 ACTIVITIES, UNLESS THERE ARE ANY QUESTIONS ABOUT WHAT'S  
16 GOING ON, I'D LIKE TO TURN THE MEETING OVER TO JOAN AND  
17 TO STU AND TO HAVE THEM CONTINUE.

18 DR. SVENDSEN: ONE QUESTION ON THE TIMING.  
19 YOU SAID A YEAR FROM NOW IT WILL BE IN THE SUPREME  
20 COURT, OR YOU THINK A YEAR FROM NOW IT WILL BE THROUGH  
21 THE SUPREME COURT?

22 DR. HALL: THROUGH, WE HOPE.

23 DR. SVENDSEN: THAT'S INCLUDING THE TIME IT  
24 MIGHT TAKE.

25 DR. HALL: SO I THINK WITH LUCK WE WILL HAVE

1 THE MONEY A YEAR FROM NOW. IS THAT FAIR, BOB?

2 MR. KLEIN: ACTUALLY HAVE MONEY. HOPEFULLY  
3 WE WILL BE THROUGH THE COURT OF APPEALS BY THE END OF  
4 DECEMBER, BEGINNING OF JANUARY. SO A YEAR IS HOPEFULLY  
5 A REASONABLY CONSERVATIVE TIME.

6 CO-CHAIR ORKIN: AFTER THAT THERE'S NO  
7 RECOURSE.

8 MR. KLEIN: THE STATUTE OF LIMITATIONS ON  
9 ADDITIONAL CHALLENGES TO FUNDING RAN A YEAR AGO JULY.  
10 SO THE NEXT THREE YEARS THEY CANNOT CHALLENGE THE  
11 FUNDING ON CONSTITUTIONAL GROUNDS.

12 CO-CHAIR SAMUELSON: DO YOU FEEL FAIRLY  
13 CONFIDENT THAT THERE'S NO OTHER WAY THEY CAN BLOCK  
14 THAT? I'M ASSUMING THERE WILL BE OTHER THINGS THROWN  
15 AT US, INCLUDING LEGAL CHALLENGES, BUT NOT NECESSARILY  
16 THAT CAN STOP THE FUNDING, THE BOND ISSUING PROCESS.

17 MR. KLEIN: YES. JOAN IS APPROPRIATELY  
18 FOCUSING ON THE FACT THAT THIS IS A GROUP WHOSE GOALS  
19 ARE DELAY. AND THEY'VE FILED A SUIT AGAINST THE  
20 UNIVERSITY OF CALIFORNIA SYSTEM TO TRY AND DEAL WITH  
21 THAT DELAY. WE'VE NOW FILED AN ACTION TO BRING THEM  
22 BACK INTO JUDGE SABRAW'S COURT TO SEE IF WE CAN  
23 EXPEDITE DISPOSITION OF THAT. WE DON'T KNOW THE  
24 OUTCOME OF THAT ACTION, BUT SUFFICE IT TO SAY THAT WE  
25 SHOULD PROCEED WITH OUR NEXT ROUND OF FUNDING HERE

1 SHORTLY THROUGH THE PRIVATE PLACEMENT OF BONDS TO BRING  
2 IT UP TO \$50 MILLION. THAT GIVES ABOUT APPROXIMATELY  
3 ANOTHER 30, \$35 MILLION OF FUNDS TO DEAL WITH.

4 AND WE DO NOT BELIEVE THEY'RE GOING TO BE  
5 ABLE TO MAINTAIN INJUNCTIONS UNDER ANY OTHER PROCEDURAL  
6 CHALLENGE SO THAT WE SHOULD BE ABLE TO WORK  
7 PROFESSIONALLY AND THOUGHTFULLY THROUGH OUR PROCESS,  
8 ISSUE OUR GRANTS, ISSUE OUR CHECKS, AND LET THE LEGAL  
9 PROCESS GO ON.

10 THE WAY WE'RE STRUCTURED, WE SHOULD BE ABLE  
11 TO CONTINUE BUSINESS WITH DEEP RESPECT FOR YOUR TIME  
12 AND TREMENDOUS CONTRIBUTION. WE SHOULD BE SUCCESSFUL  
13 IN GETTING THE MONEY OUT TO THE INSTITUTIONS.

14 DR. HALL: WE HAVE IT OUT NOW. WE HAVE  
15 GRANTS OUT THERE NOW. AND SO I THINK THERE -- MY SENSE  
16 FROM BOB IS THERE MAY BE DELAYS THAT ARE ANNOYING, BUT  
17 THE FUNDAMENTAL BUSINESS OF RAISING BONDS CANNOT BE  
18 CHALLENGED ONCE THIS DECISION IS MADE, SO THAT WE'LL BE  
19 IN GOOD SHAPE.

20 MR. KLEIN: IN ALL LIKELIHOOD, IN THE SPRING  
21 OF NEXT YEAR, WE'LL DO ANOTHER 50 MILLION TO FURTHER  
22 DRIVE THE GRANT PROGRAM. SO WE'RE LOOKING AT  
23 APPROXIMATELY A 12-MONTH CYCLE WHERE THERE'S \$100  
24 MILLION IN THE PROCESS. SO THERE'S A REAL SUBSTANTIVE,  
25 GROUNDED, MEANINGFUL GRANT PROGRAM IN PROCESS.

1 DR. HALL: OKAY.

2 CO-CHAIR SAMUELSON: OKAY. AS I THINK I'VE  
3 SAID BEFORE, IT'S INCREDIBLY HUMBLING FOR ME TO THINK  
4 OF LEADING A DISCUSSION INCLUDING THE PEOPLE WITH THE  
5 RESUMES THAT MANY OF YOU IN THIS ROOM HAVE. AND I'M  
6 REMINDED OF IT WHEN THE FIRST SLIDE BEFORE MINE WAS OF  
7 THAT CHART, WHICH IS THE WORK PRODUCT OF MY PROFESSION,  
8 LAWYER, AND BOB DESCRIBES ANOTHER WORK PRODUCT IN MY  
9 PROFESSION, DELAYING LAWSUITS. SO IT'S AUDACIOUS OF ME  
10 TO THINK OF TRYING TO LEAD THIS, BUT I HAVE A FEW  
11 THOUGHTS AND A COUPLE SLIDES. AND SO I THOUGHT I'D  
12 SORT OF SET THE CONTEXT THAT I SEE FOR US IN PREPARING  
13 FOR TOMORROW'S CONFERENCE, WHICH IS ON THE SUBJECT OF  
14 SCIENTIFIC CHALLENGE FROM BASIC SCIENCE TO THE CLINIC,  
15 WHICH IS AN IMMENSE ONE.

16 AND THE FIRST THING I WANT TO DO IS TELL A  
17 STORY, WHICH I FIRST HEARD A COUPLE WEEKS AGO ON C SPAN  
18 WHEN HELEN THOMAS, THE WELL-KNOWN DEAN, FORMER DEAN, OF  
19 THE WHITE HOUSE PRESS CORPS, WAS ON T.V. AT A BOOK  
20 SIGNING IN WASHINGTON FOR HER LATEST BOOK. IT SEEMS  
21 VERY APT TO ME. SHE WAS ASKED, AMONG OTHER THINGS, TO  
22 SAY WHICH PRESIDENT WAS HER FAVORITE PRESIDENT DURING  
23 THE TIME SHE WAS COVERING THE WHITE HOUSE AND TO TELL A  
24 STORY ABOUT THAT PRESIDENT.

25 SHE SAID IT WAS JOHN F. KENNEDY, AND THE

1 STORY WAS AS FOLLOWS. THE PRESIDENT, SHORTLY AFTER HE  
2 WAS INAUGURATED, HAD THE MERCURY VII ASTRONAUTS AND  
3 THEIR WIVES IN FOR DINNER. AND AS THE PRESIDENT AND  
4 JACKIE WERE HANDING OUT COCKTAILS AND SCHMOOZING WITH  
5 THE ASTRONAUTS AND THEIR WIVES, PRESIDENT KENNEDY SAID  
6 TO THEM, "DO YOU THINK WE COULD EVER GO TO THE MOON?"  
7 AND AS THE MERCURY VII ASTRONAUTS RELAYED BACK TO HELEN  
8 THOMAS, BECAUSE THAT'S HOW SHE FOUND OUT ABOUT IT, THEY  
9 SAID, "YOU KNOW, YOU DON'T SAY NO TO THE PRESIDENT."  
10 SO THEY SAID, "SURE, MR. PRESIDENT." AND HE SAID,  
11 "OKAY. THANK YOU. THANK YOU. IT'S INTERESTING."

12 AND AS THEY LEFT THEY SAID TO EACH OTHER, "IS  
13 HE NUTS?" THAT STRUCK HOME FOR ME. WHY DON'T YOU GO  
14 BACK TO THAT FIRST ONE? WE HAVE A VERY DEMANDING  
15 CHALLENGE BEFORE US, AND THIS IS ONE PARAGRAPH FROM  
16 PROP 71. THERE'S SEVERAL THAT REFER TO THE END GOAL OF  
17 THE INITIATIVE, AND THIS ONE GOES RIGHT TO THE HEART OF  
18 IT, AS I SEE IT, IN THAT WE ARE MAKING GRANTS TO  
19 REALIZE THERAPIES, PROTOCOLS, AND OTHER MEDICAL  
20 PROCEDURES THAT WILL RESULT IN AS SPEEDILY AS POSSIBLE  
21 THE CURE AND MITIGATION OF MAJOR DISEASES AND SO ON.

22 AND ELSEWHERE IN THE INITIATIVE IT REFERS  
23 SPECIFICALLY TO A FEW DISEASES AND THEN MENTIONS 70  
24 OTHERS WHICH COULD BE AFFECTED BY THE SPENDING OF THIS  
25 MONEY AND THE GRANTING OF THE RESEARCH GRANTS. A

1 TERRIBLY, DESPERATELY IMPORTANT GOAL AND, AS YOU KNOW  
2 FAR BETTER THAN I DO, DREADFULLY HARD TO ACCOMPLISH.  
3 SO THAT'S WHY I WENT DOWN THE THOUGHT PROCESS I DID  
4 WHEN I HEARD THAT STORY BY HELEN THOMAS BECAUSE, OF  
5 COURSE, THAT WAS REGARDED AS PROBABLY IMPOSSIBLE BY  
6 MAYBE EVEN THOSE ASTRONAUTS WHO WERE IN THE ROOM. BUT  
7 WE ALL KNOW THE REST OF THE STORY.

8 JUST A FEW MONTHS LATER THE PRESIDENT,  
9 SPEAKING BEFORE THE JOINT SESSION OF CONGRESS,  
10 COMMITTED VERY PUBLICLY, AUDACIOUSLY TO THAT GOAL. AND  
11 WITHIN THE DECADE AND THE NEXT YEAR, HE WAS DEDICATING  
12 THE NEW MANNED SPACECRAFT CENTER AND TALKING ABOUT WHY  
13 IT WAS IMPORTANT TO DO THAT. AND THIS QUOTE REALLY  
14 RESONATED FOR ME. IT STRUCK ME AS VERY PARALLEL TO THE  
15 CHALLENGE THAT WE HAVE, WHICH IT'S A CHALLENGE THAT WE  
16 ARE WILLING TO ACCEPT, ONE THAT WE ARE WILLING TO  
17 POSTPONE, AND ONE WHICH WE INTEND TO WIN.

18 I DON'T THINK WE CAN OVERSTATE WHAT I BELIEVE  
19 ABOUT WHY CALIFORNIANS PASSED THAT INITIATIVE. FOR  
20 THOSE OF YOU WHO AREN'T IN CALIFORNIA, YOU MAY FEEL  
21 THAT CALIFORNIANS WILL PASS MOST ANYTHING AND THAT IT  
22 ISN'T NECESSARILY DONE WITH A LOT OF THOUGHT. BUT, IN  
23 FACT, THE VAST MAJORITY OF THOSE INITIATIVES ARE  
24 DEFEATED HANDILY. THERE WAS ONE IN THE LAST ELECTION  
25 FOR PRESCHOOL FOR ALL CALIFORNIAN YOUNGSTERS THAT WAS

1 DEFEATED BY A LARGE MAJORITY, AND THERE ARE THE LOTS OF  
2 GOOD REASONS FOR IT AND IT WAS A LOT LESS MONEY, AND  
3 THERE ARE LOTS OF OTHER EXAMPLES.

4 CALIFORNIANS REALLY FEEL VERY PERSONALLY  
5 CONNECTED TO PROP 71 AND PERSONALLY INVESTED IN THE  
6 OUTCOME AND VERY SERIOUS ABOUT IT. THEY DON'T JUST  
7 WILLY-NILLY COMMIT TO \$3 BILLION FOR SOMETHING. TO THE  
8 CONTRARY. AND WHEN I TALKED TO NEIGHBORS AND JUST  
9 STRANGERS AND PEOPLE I RUN INTO FOR WHATEVER REASONS,  
10 AND THEY FIND OUT THAT I'M AFFILIATED WITH THIS  
11 ENTERPRISE, THEY TALK ABOUT IT IN THE FIRST PERSON.  
12 THEY SAY, "I DON'T WANT YOU TO WASTE THAT MONEY. I  
13 DON'T WANT YOU TO BUILD A LOT OF BUILDINGS. I WANT YOU  
14 TO GET THIS JOB DONE. YOU'RE GOING TO FUND CURES,  
15 RIGHT?" AND I THINK THERE ARE A COUPLE OF INTERESTING  
16 THINGS ABOUT THAT. ONE IS THAT THEY FEEL SO STRONGLY  
17 ABOUT IT, BUT I THINK THE OTHER IS THAT THEY VIEW  
18 THEMSELVES AS PARTNERS IN THIS ENTERPRISE. AND I'LL  
19 COME BACK TO THAT.

20 SO THEN THE REST OF THE STORY, WITHIN THE  
21 DECADE, JULY 21, 1969, BUZZ ALDRIN AND NEIL ARMSTRONG  
22 VISITED THE MOON, AS WE ALL KNOW, AND THEY GOT THERE  
23 WITHIN THE TIME ALLOTTED, TEN YEARS, WHICH IS A  
24 FAMILIAR TIMEFRAME FOR US SINCE THAT'S ROUGHLY ABOUT  
25 THE AMOUNT OF TIME THAT WE HAVE TO SPEND THE \$3

1 BILLION, ASSUMING THAT DOESN'T CHANGE BY FURTHER  
2 DELAYS. AND SO THEY GOT IT DONE IN TIME AND PROBABLY  
3 WAY OVER BUDGET. AND IT'S A GREAT ACHIEVEMENT FOR  
4 AMERICANS AND FOLKS BEYOND.

5 SO WHAT IS THE OTHER RELEVANCE THAT RESONATES  
6 IN ME TO THIS STORY? I KNOW THAT THE MOONSHOT IS OFTEN  
7 TALKED ABOUT AS AN ANALOGY, SOME KIND OF RELEVANCE FOR  
8 A CHALLENGE LIKE GETTING CURES FOR DISEASES. AND I  
9 KNOW THAT OFTEN SCIENTISTS RESIST THAT BECAUSE THEY'RE  
10 THINKING ABOUT THE DIFFERENCES BETWEEN THE SCIENTIFIC  
11 CHALLENGES PRESENTED IN THAT CASE AND THE TREMENDOUSLY  
12 DIFFICULT ONES THAT WE HAVE BEFORE US IN THE CASE OF  
13 PROP 71. AND I THINK THAT IT'S THAT PARTNERSHIP WITH A  
14 VARIETY OF STAKEHOLDERS WHO BELIEVE THAT THEY ARE  
15 PARTNERS IN THIS ENTERPRISE, AND AS A RESULT INTEND TO  
16 HELP GET IT ACCOMPLISHED. AND I THINK THAT'S IMPORTANT  
17 BECAUSE I'VE NOTICED IN THE 15 YEARS I'VE BEEN INVOLVED  
18 IN PARKINSON'S ADVOCACY HOW VERY, VERY DIFFICULT IT IS  
19 TO GET FROM A CONCEPT ABOUT HOW TO HAVE ANY BASIC  
20 INFORMATION ABOUT A GIVEN DISEASE AND TO TAKE THAT THE  
21 MANY DIFFICULT STEPS TO THE POINT OF HAVING SOME  
22 EFFECTIVE TREATMENT.

23 AND WHAT I'VE OBSERVED IS THAT OFTEN THE  
24 OBSTACLES THAT DERAILED IT AND DELAY IT ARE NOT, IN FACT,  
25 AS IT SEEMS TO ME AS A NONSCIENTIST, SCIENTIFIC



1 OBSTACLES. THEY'RE NONSCIENTIFIC OF ALL SORTS. I IN  
2 THINKING ABOUT THAT MADE A LIST. MONEY, NOT HAVING  
3 ENOUGH, NOT HAVING ENOUGH IN THE RIGHT PLACE AT THE  
4 RIGHT TIME; INADEQUATE INFORMATION AND COORDINATION,  
5 SORT OF SERENDIPITY OF FOCUS WHERE SCIENTISTS WILL BE  
6 FOCUSED ON AN IMPORTANT SCIENTIFIC QUESTION AND THEN  
7 THEY DIE OR THEY RETIRE OR THEY SHIFT FOCUS BECAUSE THE  
8 FUNDING SHIFTS, AND IT MAY BE DECADES BEFORE THE SAME  
9 ISSUES ARE PICKED UP AGAIN. LACK OF INFORMATION,  
10 SCIENTISTS WORKING -- AND THESE ARE THINGS THAT I'VE  
11 NOTICED IN THE PARKINSON'S FIELD WHERE AN IMPORTANT  
12 DISCOVERY IS DELAYED BECAUSE A SCIENTIST DIDN'T KNOW  
13 THAT SOMEONE ELSE HAD STUDIED THE SAME AREA AND HAD  
14 ACTUALLY PUBLISHED ON IT. BLOCKED ACCESS TO PATENTED  
15 PRODUCTS, DUPLICATION OF EFFORT.

16 AND THEN ANOTHER CATEGORY, THE LEGAL AND  
17 POLITICAL AND REGULATORY DELAYS. AND WE'VE TALKED  
18 ABOUT SOME OF THOSE TONIGHT. THERE ARE MANY OF THEM,  
19 AND OBVIOUSLY THEY DERAIL AND DELAY VARIOUS SCIENTIFIC  
20 ENTERPRISES TRYING TO GET EFFECTIVE THERAPIES AND  
21 CURES.

22 CONTROVERSY, REACTIONS TO ADVERSE SIDE  
23 EFFECTS AND DEATHS. AND WITHOUT A COUNTERVAILING  
24 AWARENESS OF THE ADVERSE EFFECTS OF SIMPLY THE STATUS  
25 QUO, THE AMOUNT OF SUFFERING AND DEATH THAT GOES ON

1 ANYWAY, AND GIVING THE PUBLIC OR THE PRESS A WAY OF  
2 BALANCING THAT AND SEEING IT IN A DIFFERENT LIGHT.

3 AND THEN ANOTHER BIG CATEGORY, LACK OF  
4 INFRASTRUCTURE, LACK OF CAPITAL, BUILDINGS, LACK OF  
5 EQUIPMENT, LACK OF TRAINED PERSONNEL.

6 I'VE WATCHED -- THE SCIENTISTS IN THE  
7 PARKINSON'S FIELD HAVE STRUGGLED TO TRY TO GET  
8 EFFECTIVE THERAPIES FOR ME AND THE OTHER MILLION  
9 AMERICANS AND MILLIONS MORE BEYOND ELSEWHERE IN THE  
10 WORLD AND HAD TO DELAY USING THE BRILLIANCE IN THEIR  
11 SCIENTIFIC MINDS BECAUSE THEY WERE STOPPED BY THESE, AS  
12 I SEE THEM, NONSCIENTIFIC OBSTACLES.

13 I THINK THAT IF WE ARE COMMITTED TO A PLAN  
14 THAT TRULY IS GOING TO BE ATTEMPTING TO ACHIEVE THE  
15 VISION THAT'S SET OUT IN PROP 71, GETTING EFFECTIVE  
16 THERAPIES AND CURES WITHIN A REASONABLE AMOUNT OF TIME,  
17 AND THE PUBLIC BELIEVES THAT, THAT THERE IS A HUGE  
18 AMOUNT OF FURTHER TIME AND TALENT AND ENERGY THAT  
19 PEOPLE IN THE LEGISLATURE, IN THE PATIENT COMMUNITIES,  
20 IN SIMPLY THE VOTING PUBLIC WILL BE WILLING TO INVEST  
21 IF THEY SEE THAT VISION OUT THERE AND SEE THAT THERE,  
22 IN FACT, IS A PLAN ATTEMPTING TO ACHIEVE IT.

23 SO THAT THEN LEADS BACK TO THE BASIC  
24 QUESTION, WHICH IS WHAT ARE THE SCIENTIFIC OBSTACLES IN  
25 THE WAY? AND I HAVE A VISION FOR HOW WE WOULD GET TO

1 THE OTHER SIDE, WHICH WOULD INVOLVE AT LEAST AS  
2 AUDACIOUS, I THINK, AN EFFORT AS THE MOONSHOT AND  
3 CERTAINLY AS DIFFICULT, WHICH WOULD BE TRYING TO  
4 MARSHAL THE SCIENTIFIC BRILLIANCE AROUND THE WORLD WHO  
5 ARE ALL WORKING ON THE SAME PROBLEM AND AVOID  
6 DUPLICATION AND GET THE BENEFIT OF INFORMATION AND  
7 ACHIEVEMENTS THAT ALREADY HAVE BEEN MADE ELSEWHERE TO  
8 WORK IN GETTING TO THE POINT OF EFFECTIVE THERAPIES IN  
9 CALIFORNIA. AND I CAN ONLY IMAGINE HOW DIFFICULT THAT  
10 MIGHT BE, BUT IT ALSO SEEMS LIKE PERHAPS THE ONLY WAY  
11 THAT IT MIGHT BE ACHIEVED IN A REASONABLE AMOUNT OF  
12 TIME AND FOR THE AMOUNT OF MONEY THAT THAT'S GOING TO  
13 COST. \$3 BILLION ISN'T GOING TO BUY CURES FOR 70  
14 DIFFERENT DISEASES OBVIOUSLY.

15 SO THEN THE QUESTION IS BACK TO ALL OF YOU.  
16 I THINK THAT IF THERE IS A PLAN THAT REALLY HAS THAT  
17 GOAL IN MIND AND HAS A WAY OF ACHIEVING IT  
18 SCIENTIFICALLY, THAT THE OTHER PROBLEMS CAN BE TACKLED.  
19 THAT'S NOT BY ANY MEANS TO UNDERESTIMATE HOW DIFFICULT  
20 IT WILL BE; BUT I THINK IF THE PLAN IS AUDACIOUS ENOUGH  
21 TO REALLY BE ATTEMPTING TO DO THAT, I THINK THE PUBLIC  
22 WILL BE AT OUR SIDE.

23 SO THEN THE QUESTION IS WHAT ARE THOSE  
24 SCIENTIFIC QUESTIONS THAT NEED TO BE ANSWERED? WHAT  
25 ARE THE OBSTACLES IN THE WAY? AND HOW DOES A STRATEGIC

1 PLAN BE USED TO CREATE A ROAD MAP THAT REALLY WILL  
2 TACKLE THEM IN A WAY THAT WILL CONTINUE TO EXCITE THE  
3 PUBLIC IMAGINATION AND BRING THE PUBLIC IN AS A  
4 PARTNER?

5 AND THE OTHER THING THAT I DID TO THINK ABOUT  
6 THIS WAS CREATED A BUNCH OF QUESTIONS, WHICH YOU ALL  
7 HAD FOR A COUPLE DAYS, AND THAT'S ONE WAY OF THINKING  
8 ABOUT IT. BUT WHAT I REALLY WANTED TO DO IS JUST OPEN  
9 IT UP TO YOU BECAUSE YOU ARE THE ONES WHO HAVE BEEN IN  
10 THE TRENCHES THINKING ABOUT THIS PROBLEM IN A VARIETY  
11 OF CONTEXTS AND YOUR OWN PRACTICES, YOUR OWN WORK, YOUR  
12 OWN RESEARCH. SO I OPEN IT UP TO YOU.

13 CO-CHAIR ORKIN: I GUESS SOME OF THIS MAY  
14 COME OUT TOMORROW, I THINK, IN THE DISCUSSIONS, BUT I  
15 GUESS ONE OF THE QUESTIONS TOO, I'M NOT SURE I HAVE THE  
16 ANSWER, BUT TO THINK ABOUT WHICH ASPECTS OF THESE  
17 CHALLENGES OR OBSTACLES ARE ACTUALLY IN THE DIRECTION  
18 OF THE STEM CELL FIELD AND STEM CELL DISORDERS AS  
19 OPPOSED TO BASICALLY ALL THE REST OF MEDICINE. I  
20 BELIEVE TEASING OUT WHICH ONES MAY BE UNIQUE MIGHT BE  
21 ONE WAY TO SORT OF HELP JUMP START THE EFFORT TO  
22 CAPITALIZE ON THE CALIFORNIA INITIATIVE.

23 I'M NOT SURE I KNOW MYSELF. MAYBE OTHERS  
24 WILL HAVE SOME SUGGESTIONS ON THAT, BUT I THINK THERE  
25 ARE ISSUES THAT A LOT OF THESE SAME SORT OF NOTIONS

1 ABOUT HAVING A LARGE PROJECT EQUIVALENT TO GOING TO THE  
2 MOON AS SORT OF BEING THE BASIS OF THE CANCER WORLD FOR  
3 THE LAST 30 YEARS UNDER NIXON'S WAR ON CANCER. AND  
4 THERE'S PROGRESS, BUT THE WAR ISN'T OVER, AND THERE ARE  
5 THINGS THAT ARE DONE IN THAT COMMUNITY IN TERMS OF  
6 HAVING CENTERS WHICH ARE INTEGRATED TOGETHER AND WORK  
7 TOGETHER, WHICH IS CERTAINLY A MODEL FOR OTHER SORT OF  
8 LARGE TRANSLATIONAL EFFORTS, BUT THAT'S NOT UNIQUE  
9 CERTAINLY IN THAT FIELD, AND I THINK COULD BE  
10 REPLICATED IN THE STEM CELL FIELD, BUT I'M NOT CERTAIN  
11 IT WOULD BE DIFFERENT.

12 SO I GUESS THE FIRST QUESTION IS WHICH  
13 OBSTACLES AND WHICH CHALLENGES ARE REALLY UNIQUE? AND  
14 IS THERE SOMETHING SPECIAL THAT CAN BE DONE HERE WITH  
15 THE RESOURCES THAT CAN'T BE DONE IN OTHER PLACES?  
16 THROW THAT OUT.

17 DR. KIMBLE: I THINK THAT IT'S VERY IMPORTANT  
18 TO BE THINKING. THIS IS A GREAT ANALOGY; HOWEVER, THIS  
19 IS VERY FOCUSED. AND THE INITIATIVE, AS I UNDERSTAND  
20 IT, FOR THE STEM CELL INITIATIVE IS NOT FOCUSED. IT'S  
21 WE WANT TO PUT MONEY INTO STEM CELLS BECAUSE WE CAN'T  
22 DO IT THROUGH NIH BASICALLY. AND USE STEM CELLS --  
23 CURE DISEASES WHERE WE CAN, BUT WE DON'T KNOW WHERE WE  
24 CAN YET.

25 AND SO ONE POSSIBILITY WOULD BE TRYING TO

1 FOCUS THIS EFFORT, BUT IN A SENSE I THINK IT'S TOO  
2 EARLY TO DO THAT. AND MY GUESS IS THAT IF WE PUT MONEY  
3 INTO INITIATIVES THAT CANNOT BE FUNDED BY NIH, WHICH IS  
4 REALLY THE GOAL OF THIS, THAT THAT WILL THEN FEED  
5 ITSELF PROBABLY IN THE NEXT YEAR OR EVEN TWO TO NEW  
6 RESEARCH THAT CAN HAVE A VISION OF THERAPIES, AND WE  
7 DON'T KNOW WHICH OF THESE 70 DISEASES OR OTHERS, BUT  
8 HOPEFULLY ONES THAT WILL BE OF BROAD IMPACT, NOT JUST  
9 THE ODD ONE OR TWO PEOPLE, BUT REALLY BROAD IMPACT  
10 DISEASES, LIKE DIABETES, FOR EXAMPLE, BUT REALLY USING  
11 THE STEM CELLS AND USING THIS MONEY FOR RESEARCH THAT  
12 WON'T BE FUNDED BY NIH. BUT UNFORTUNATELY WE DON'T  
13 HAVE A GOAL LIKE THE MOON. I WISH WE DID.

14 CO-CHAIR ORKIN: WE CAN CHOOSE ONE OF THE 70  
15 DISEASES, BUT WE'D BE LIKELY WRONG.

16 DR. KIMBLE: WE'D BE LIKELY WRONG. EXACTLY.  
17 AND I THINK THAT IT'S TOO EARLY.

18 CO-CHAIR ORKIN: DIFFERENT ADVOCATES,  
19 PARTICULARLY IN A PUBLIC SETTING LIKE THIS, I'M SURE  
20 THAT IF WE CHOSE ONE, THOSE INTERESTED IN THE OTHER 69  
21 ARE GOING TO HAVE ISSUES.

22 DR. KIMBLE: MAYBE IN FIVE YEARS WE'LL BE  
23 ABLE TO SAY WE WILL HAVE MADE PROGRESS, AND WE CAN SAY,  
24 YES, PARKINSON'S AND DIABETES AND HEART DISEASE ARE THE  
25 ONES THAT WE REALLY WANT TO FOCUS ON. IN MY VIEW IT'S

1 REALLY TOO EARLY. I DON'T KNOW WHAT YOU THINK. I LOVE  
2 THIS ANALOGY, AND I WISH THAT WE -- BECAUSE I THINK IF  
3 WE HAD SOMETHING THAT WAS THIS DEFINED, WE PROBABLY  
4 COULD GO AFTER IT LIKE THAT, BUT, YOU KNOW, IT'S HARD  
5 IN THIS PARTICULAR FIELD. I DON'T KNOW OTHER PEOPLE  
6 THINK ABOUT THIS.

7 MR. SHEEHY: I JUST WONDER IF -- BECAUSE ZACH  
8 ACTUALLY IN ONE OF HIS STRATEGIC PLANNING MEETINGS HAD  
9 AN IDEA ABOUT COMPETING -- A VERTICAL COMPETITION  
10 VERSUS HORIZONTAL. AND I WONDER -- THAT SEEMS LIKE A  
11 VERY INTRIGUING IDEA, BUT I CAN'T REALLY JUST, YOU  
12 KNOW, DESCRIBE THE WAY THAT YOU PROBABLY COULD.

13 DR. HALL: I THINK IT IS AN ISSUE THAT WE  
14 HAVE DISCUSSED. THAT IS, THE QUESTION OF WHAT IS THE  
15 BEST WAY. OUR ULTIMATE AIM IS OBVIOUSLY TO HAVE  
16 THERAPIES FOR DISEASES. AND SO HOW DO WE GET THERE,  
17 AND WHAT POINT -- SAME POINT YOU'RE MAKING, WHAT POINT  
18 DO YOU FOCUS OR YOU MAKE A CHOICE OR DO THAT?

19 TWO THINGS, I'M GOING TO MAKE ANOTHER POINT  
20 AND I'LL COME TO THAT. AT OUR MAY 25TH MEETING, WE HAD  
21 A REALLY INTERESTING TALK FROM MIKE RUDNICKI WHO HEADS  
22 THE CANADIAN STEM CELL PROJECT, SOME OF YOU UNDOUBTEDLY  
23 KNOW HIM, BUT THEY STARTED OUT BY FOCUSING ON SPECIFIC  
24 DISEASES, AND THEY GAVE IT UP TO FOCUS ON WHAT THEY  
25 CALLED ENABLING TECHNOLOGIES. IT DIDN'T MEAN YOU

1 WEREN'T WORKING ON DISEASE. YOU OFTEN WERE, BUT THE  
2 CRITERIA OR THE REASON FOR CONCENTRATING WAS NOT CHOSEN  
3 BECAUSE OF THAT DISEASE, BUT BECAUSE OF THE BREADTH AND  
4 THE ULTIMATE SIGNIFICANCE OF THE PARTICULAR PROJECT  
5 THAT WAS BEING CARRIED OUT.

6 BUT ONE OF THE CHALLENGES WE ALSO, AND IT'S  
7 NOT SPECIFIC TO US, WE'RE TALKING ABOUT DIFFERENT --  
8 WHAT'S UNIQUE ABOUT STEM CELLS, ONE OF THE PROBLEMS  
9 THAT EVERYBODY FACES IS THE WHOLE QUESTION OF HOW YOU  
10 GET FROM LABORATORY WORK TO THE CLINIC. AND WE WILL  
11 SPEND SOME TIME TOMORROW, I THINK, TALKING ABOUT SOME  
12 OF THOSE ISSUES. BUT ONE OF THE IDEAS WE TALKED ABOUT  
13 WAS NOT TOO DIFFERENT FROM THE COMPREHENSIVE CANCER  
14 CENTERS WHERE YOU CONSCIOUSLY ORGANIZE AS ONE OF YOUR  
15 MECHANISMS OF FUNDING, NOT THE ONLY ONE, BUT ONE OF  
16 THEM, TO SAY WHAT WE'RE LOOKING FOR IS A GROUP THAT CAN  
17 TAKE THINGS FROM A LABORATORY OVER A PERIOD OF TIME,  
18 FROM A LABORATORY TO A PRECLINICAL PHASE ON INTO A  
19 CLINICAL PHASE. AND SO WE CHALLENGE YOU TO PUT  
20 TOGETHER THE VERY BEST GROUP YOU CAN, COMBINING FOR  
21 YOUR PARTICULAR PROBLEM ALL THE VARIOUS ELEMENTS ACROSS  
22 THE STATE, AND IF YOU CAN GET FUNDING FROM OTHER  
23 SOURCES OUTSIDE THE STATE AS WELL, AND THEN TO SAY  
24 WHICH AMONG THESE, INDEPENDENT OF WHAT THE PARTICULAR  
25 DISEASES ARE, WHAT ARE THE STRONGEST PROPOSALS THAT WE



1 HAVE AND WHAT ARE THE PLACES WHERE WE CAN PUT THE MONEY  
2 AND HOPE TO SEE SOME PROGRESS BASED ON WHAT'S HERE.

3 SO THE IDEA WAS NOT FOR US TO SIT HERE AND IN  
4 OUR WISDOM SAY WE THINK THE BEST CHANCE, AS YOU SAID,  
5 STU, 70 TO 1 YOU'RE WRONG, BUT TO SAY WHICH IS THE BEST  
6 ONE, BUT TO SIMPLY TRY TO MOBILIZE THE RESOURCES OF THE  
7 COMMUNITY BUT IN A PARTICULAR WAY THAT COMMITS TO A  
8 TRACK FROM OVER A PERIOD OF TIME. AND THESE WOULD BE  
9 PRESUMABLY GRANTS THAT WOULD RUN FIVE, SIX, SEVEN,  
10 EIGHT YEARS, BUT YOU WOULD EXPECT TO SEE PROGRESS  
11 DURING THAT PERIOD OF TIME AND EXPECT TO SEE IT LAID  
12 OUT. WE'VE TALKED ABOUT THAT. IF PEOPLE HAVE THOUGHTS  
13 OR IDEAS ABOUT IT, WE'D BE PLEASED TO HEAR IT.

14 DR. SVENDSEN: JUST THE ANALOGY. I DON'T  
15 WANT TO GO TOO FAR WITH THE ANALOGY. I THINK WE HAVE A  
16 PRETTY RICKETY SPACESHIP RIGHT NOW IN EMBRYONIC STEM  
17 CELL IF THAT'S WHERE WE'RE FOCUSING. AND THEN WE DON'T  
18 HAVE JUST ONE TARGET. WE HAVE A SERIES OF SHOTS, AND I  
19 THINK YOUR STRATEGY SEEMS QUITE REASONABLE. PEOPLE OR  
20 GROUPS WHO CAN PUT TOGETHER GOING FROM A STEM CELL TO A  
21 TARGET DISEASE. ONE MIGHT BE TRYING TO ACHIEVE A  
22 MOONSHOT, SOMEBODY ELSE COULD BE AIMING FOR JUPITER.  
23 BUT WITHIN THAT, EACH ONE SHOULD BE A FOCUSED STRATEGY,  
24 AND WE SHOULDN'T BE SELECTING THE DISEASES, BUT JUST  
25 WAIT AND SEE WHAT COMES IN AND PUTS TOGETHER THE BEST

1 RATIONAL APPROACH FOR DEALING WITH THAT DISEASE BECAUSE  
2 EACH PART IS GOING TO TAKE A DIFFERENT STRATEGY, BUT  
3 IT'S A CONTINUITY, MILESTONE-TYPE APPROACH TO GET TO AN  
4 END POINT THAT COULD BE REVIEWED AT REGULAR PERIODS AS  
5 WELL OF PROGRESS.

6 DR. HALL: THE OTHER POINT IS I THINK  
7 PARTICULARLY EARLY ON WHAT ONE NEEDS IS A STRONG  
8 EXAMPLE. WHAT WE NEED MORE THAN ANYTHING ELSE IS A  
9 SUCCESS THAT SAYS THIS IS GOING TO WORK. HERE'S AN  
10 EXAMPLE OF HOW IT WORKS.

11 DR. SVENDSEN: ARE WE REALLY -- IS CIRM NOT  
12 FUNDING THINGS OUTSIDE OF THE EMBRYONIC STEM CELL LINES  
13 THAT ARE CURRENTLY APPROVED?

14 CO-CHAIR ORKIN: I THINK IT WILL.

15 MR. KLEIN: WE HAVE COMPLETE AUTHORITY TO  
16 FUND AS LONG AS THE NIH IS NOT FUNDING IT ADEQUATELY,  
17 TIMELY, OR COMPLETELY. SO IT'S WRITTEN SO THERE'S  
18 COMPELLING SCIENTIFIC OPPORTUNITY.

19 CO-CHAIR SAMUELSON: MOST ANYTHING.

20 DR. KIMBLE: WE HAVE NO --

21 MR. KLEIN: WHAT WE SHOULD REALIZE TOO IS  
22 THAT WE HAVE THIS GREAT LEGACY FROM THE ADULT STEM CELL  
23 FIELD WHERE THERE ARE MANY APPLICATIONS THAT ARE BEING  
24 EXPANDED, THAT THEY NEED, ALTHOUGH THE PROOF OF CONCEPT  
25 IS AN ADULT STEM CELL, THEY NEED EMBRYONIC STEM CELL

1 RESEARCH TO EXPAND THERAPEUTIC TREATMENT. DR. RICHARD  
2 BURT FROM NORTHWESTERN PUBLISHED IN ABOUT FEBRUARY A  
3 PAPER ON LUPUS WITH AN ADULT STEM CELL TREATMENT WHERE  
4 HE HAD SUBSTANTIAL REMISSION OF SYMPTOMS FOR THREE TO  
5 FIVE YEARS WITH 50 PERCENT OF HIS PATIENTS. BUT HE  
6 CAME TO CALIFORNIA DURING THE CAMPAIGN AND HELPED US  
7 RAISE FUNDS BECAUSE HE SAID, "LOOK, I'M WORKING IN  
8 CROHN'S AND MS AND LUPUS. I CAN'T TELL YOU WHAT THE  
9 RESULTS ARE." AT THAT TIME HE HADN'T PUBLISHED THE  
10 LUPUS PAPER OBVIOUSLY, VERY ENCOURAGING, "BUT I CAN  
11 ONLY REACH 10 TO 15 PERCENT OF MY POTENTIAL PATIENTS  
12 BECAUSE I NEED ALMOST AN EXACT IMMUNE SYSTEM MATCH."

13 WE HAVE POTENTIALLY THE OPPORTUNITY HERE TO  
14 CREATE A STRATEGY THAT DEALS WITH EXPANDING OFF OF AND  
15 GETTING SOME EARLIER RETURNS BY BROADENING THE  
16 APPLICATIONS OF ADULT STEM CELL RESEARCH THROUGH  
17 COMPLEMENTARY EMBRYONIC STEM CELL RESEARCH AT THE SAME  
18 TIME THAT WE'RE WORKING ON REPLACEMENT CELL THERAPY OR  
19 OTHER APPROACHES IN THE EMBRYONIC AREA. IT'S A MUCH  
20 MORE TARGETED ISSUE IF YOU'RE DEALING WITH IMMUNE  
21 SYSTEM MATCHES ON ADULT THERAPIES THAT HAVE ALREADY  
22 BEEN SHOWN CONCEPTUALLY, AT LEAST IN CLINICAL TRIALS,  
23 TO WORK.

24 SO WE MAY HAVE A CONTINUUM OF STRATEGIES, BUT  
25 CERTAINLY, AS I THINK HAS BEEN REFERENCED BY SEVERAL

1 PEOPLE, IT WOULD BE HIGHLY BENEFICIAL TO PATIENTS AND  
2 FOR SUPPORT FOR THE CALIFORNIA VOTER IF SOME OF THOSE  
3 LOOKED AT NEAR-TERM, MORE FOCUSED PROBLEMS THAT CAN BE  
4 ADDRESSED.

5 CO-CHAIR ORKIN: I THINK IF THERE ARE ANY  
6 LOW-HANGING FRUIT, OBVIOUSLY THOSE WOULD BE NICE TO  
7 PICK OFF JUST TO HAVE AN EASY WIN IF THERE'S SOMETHING  
8 THERE. OF COURSE, AS YOU EXPAND TO IMMUNODEFICIENCIES  
9 AND CELL REPLACEMENT AND EVERYTHING, \$3 BILLION DOESN'T  
10 GO ALL THAT FAR, BUT THE NIH SPENDS 11, 12 BILLION A  
11 YEAR, 20 BILLION.

12 DR. HALL: NOW 20.

13 CO-CHAIR ORKIN: TWENTY BILLION A YEAR, AND  
14 IT'S NOT SUFFICIENT. SO, AGAIN, I THINK FOCUSING IS  
15 GOING TO BE WHERE WE ARE.

16 MR. KLEIN: I'D ALSO LIKE TO JUST MAKE THE  
17 POINT THAT I THINK WE NEED MODELS AT EACH STAGE OF THIS  
18 PROCESS. LIKE YOU SAY, IT'S VERY EARLY, AND WE'RE  
19 GOING TO HAVE A DIFFERENT MODEL FOR EARLY DISCOVERY OF  
20 THE SPECTRUM OF OPPORTUNITIES THAN THE MODEL FOR  
21 IMPLEMENTATION ONCE THERE'S SOME REAL PROMISING PROOFS  
22 OF CONCEPT ON AN EXPERIMENTAL APPLIED SCIENCE LEVEL.  
23 BUT THERE ARE A NUMBER OF MODELS THAT POTENTIALLY WE  
24 COULD -- INSTEAD OF LOOKING AT WHAT THE OBSTACLES ARE,  
25 MAYBE WE CAN LOOK AT THE MODELS OF SUCCESS, LIKE

1 HERCEPTIN, AND SAY HERE ARE TEN DIFFERENT MODELS OF  
2 SUCCESS. EACH OF THEM IS RELEVANT TO OUR TASK IN SOME  
3 MEASURE, AND OTHER COMPONENTS OF THAT MODEL ARE NOT  
4 RELEVANT. IF WE CAN LOOK AT MODELS OF SUCCESS, WE SEE  
5 HOW PEOPLE HAVE PENETRATED THOSE OBSTACLES, ALL IN  
6 DIFFERENT PERSPECTIVES WITH DIFFERENT CHALLENGES, AND  
7 WE CAN AGGREGATE THE LESSONS FROM THOSE TO APPLY TO OUR  
8 JUDGMENTS OF WHERE WE HAVE THE MOST PROMISING OUTCOME.

9 DR. STEINDLER: SOMEONE MENTIONED EXTENDING  
10 CIRM TO THE WORLD. AND I THINK THAT CAN BE INCREDIBLY  
11 IMPORTANT FOR THIS STAGE OF WHAT WE'RE DOING RIGHT NOW,  
12 WHAT YOU'RE TRYING TO DO. I TALKED TO THE HEAD OF THE  
13 MEDICAL RESEARCH CONSUL FROM GREAT BRITAIN A COUPLE  
14 DAYS AGO. AND HE TOLD ME HOW THE WORLD IS LOOKING  
15 TOWARDS YOU GUYS TO SHOW HOW REGENERATIVE MEDICINE IS  
16 GOING TO LEAD TO THE THERAPEUTICS THAT YOU MENTIONED,  
17 JOAN.

18 AND IF YOU COULD EXTEND CIRM TO BE A VIRTUAL  
19 INSTITUTE FOR REGENERATIVE MEDICINE AROUND THE WORLD  
20 WHERE, OF COURSE, THE RESEARCH IS FUNDED HERE, BUT BY  
21 WORKSHOPS AND FELLOWSHIPS THAT COULD BE COLLABORATIVE  
22 TO LABORATORIES IN OTHER PARTS OF THE WORLD, THAT IN  
23 ITSELF \$3 BILLION WOULD BE WELL SPENT.

24 CO-CHAIR SAMUELSON: WOULD BE WELL SPENT?

25 DR. STEINDLER: YEAH, BECAUSE YOU NOW THEN

1 HAVE MUCH MORE BANG FOR YOUR BUCK. AND I BELIEVE --  
2 DR. HALL: SO MAKE SOME SUGGESTIONS. FOLLOW  
3 THAT UP A LITTLE BIT IF WE COULD BECAUSE WE HAVE --  
4 WHAT'S THE COUNT, BOB, 14, 15 WE'RE UP TO OF COUNTRIES  
5 THAT HAVE CONTACTED US ABOUT INTERESTED IN PARTNERSHIPS  
6 OF VARIOUS SORTS. WE ARE GOING TO HAVE TO TAKE ON  
7 ADDITIONAL PERSONNEL JUST TO KEEP UP WITH THEM. I'M  
8 BEING FACETIOUS, BUT WE ARE VERY INTERESTED IN DOING  
9 THAT. AND WE ARE CONSTRAINED OBVIOUSLY THAT OUR MONEY  
10 HAS TO BE SPENT IN CALIFORNIA. AND ONE OF THE RESULTS  
11 OF THE LAST WORKSHOP THAT WAS VERY CLEAR WHERE WE HAD A  
12 NUMBER OF GROUPS, JDRF AND THE HIQ FOUNDATION AND  
13 OTHERS. THERE ARE A NUMBER OF GROUPS THAT WOULD BE  
14 WILLING TO PARTNER WITH US IN VARIOUS WAYS.

15 SO ONE OF THE QUESTIONS IS HOW COULD WE SET  
16 UP STRUCTURES OR ENCOURAGE THOSE PARTNERSHIPS IN WAYS  
17 THAT WOULD NOT JUST, AS WE WERE TALKING EARLIER TONIGHT  
18 ABOUT GIVING GRANTS FOR COLLABORATIVE PROJECTS FOR THE  
19 SAKE OF COLLABORATION, BUT NOT JUST TO SAY LOOK AT WE  
20 HAVE AN INTERNATIONAL PROJECT, BUT TO REALLY MAKE  
21 SOMETHING HAPPEN THAT WOULDN'T HAPPEN OTHERWISE. SO  
22 WE'RE VERY OPEN TO ANY THOUGHTS YOU MIGHT HAVE ABOUT  
23 HOW TO DO THAT.

24 DR. STEINDLER: I HAVE THAT SAME PROBLEM IN  
25 THAT I HAVE MONEY IN THE PLACE THAT I WORK, AND I'M

1 ONLY SUPPOSED TO SPEND IT WITHIN MY PLACE. WHAT I'M  
2 TRYING TO DO IS CREATE A VIRTUAL INSTITUTE AT THE SAME  
3 TIME WHERE WE HAVE THINK TANKS AND FELLOWSHIPS WHERE  
4 PEOPLE COME IN AND CAN JOIN INVESTIGATORS THAT ARE  
5 WITHIN THE INSTITUTE I WORK IN TO DO COLLABORATIVE  
6 WORK. AND WE FUND FELLOWSHIPS AS LONG AS THERE'S A  
7 COLLABORATOR WITHIN HOUSE. SO THINK TANKS COULD BE A  
8 WAY TO DO THAT WHERE YOU HAVE FELLOWS WHO ACTUALLY GO  
9 BACK AND FORTH BETWEEN LABS AROUND THE WORLD. THEY ARE  
10 PART OF A VIRTUAL WORLDWIDE INSTITUTE THAT IS, IN  
11 ESSENCE, A THINK TANK.

12 MR. KLEIN: ANOTHER POSSIBILITY IS THERE ARE  
13 COUNTRIES LIKE CANADA WHO HAVE THIS NETWORK SET UP  
14 WHERE THEY RECOGNIZE THEY DON'T HAVE THE PRODUCT  
15 DEVELOPMENT AND COMMERCIALIZATION CAPACITY THAT WE HAVE  
16 IN CALIFORNIA. THEY DON'T HAVE THE GMP FACILITY, THEY  
17 DON'T THE VENTURE CAPITAL TO COME IN AND PARTNER SO  
18 THAT THERE IS SOME DISCUSSION AMONG THE CANADIAN  
19 SCIENTISTS TO TRY AND HAVE A COLLABORATION WHERE THEY  
20 PASS ON PROOFS OF SCIENTIFIC CONCEPT TO CALIFORNIA TO  
21 TRY AND LET CALIFORNIA THEN DRIVE THE NEXT PART OF THE  
22 PROCESS WHERE WE HAVE MORE ASSETS IN PLACE AND WE HAVE  
23 THE SCIENTIFIC AND CAPITAL STRUCTURE TO REALLY MOVE THE  
24 THERAPY FORWARD RATHER THAN SITTING IN CANADA WITHOUT  
25 THE POTENTIAL TO IMPLEMENT IT.

1 DR. HALL: WE'VE HAD SOME AT LEAST  
2 PRELIMINARY DISCUSSIONS ACTUALLY AT SORT OF AN  
3 ADMINISTRATIVE LEVEL WITH THE CANADIANS WHO ARE  
4 INTERESTED IN THE POSSIBILITY OF SETTING UP A CANCER  
5 STEM CELL PROJECT. ONE OF THE ADVANTAGES WE ALSO HAVE  
6 IS THAT THEY CAN'T DO SCNT THERE. SO THERE ARE SOME  
7 POSSIBILITIES, AND WE HAVE AT LEAST TALKED ABOUT IN A  
8 SORT OF VAGUE WAY IS, I THINK IT WILL HAPPEN, BUT WE  
9 JUST HAVEN'T MADE ANY CONCRETE PLANS, IS TO TRY TO GET  
10 TOGETHER A CONFERENCE OF CANADIAN AND CALIFORNIA  
11 SCIENTISTS TO TALK ABOUT HOW -- COULD ONE REALLY GET  
12 MORE THAN -- WHAT AM I TRYING TO SAY? -- COULD ONE  
13 REALLY LEVERAGE ASSETS IN TWO DIFFERENT PLACES TO GET  
14 SOMETHING THAT'S MORE THAN THE SUM OF THE PARTS.

15 WE ALSO ARE PARTICIPATING WITH A MEETING WITH  
16 THE UK IN NOVEMBER. SIXTEEN CALIFORNIA SCIENTISTS, 16  
17 UK SCIENTISTS ON STEM CELL DIFFERENTIATION --  
18 SELF-RENEWAL AND DIFFERENTIATION.

19 DR. BRIVANLOU: I LIKE THE HERCEPTIN EXAMPLE  
20 VERY MUCH, AND I THINK THAT WE CAN FIND MAYBE A COMMON  
21 DENOMINATOR IN WHICH WE CAN BRING FOCUS AT THE SAME  
22 TIME AS THE LOW-HANGING FRUIT APPROACH. AND I AGREE  
23 WITH THE POINT THAT THE BIGGEST POTENTIAL THAT CIRM CAN  
24 SHOW IS TO CURE A DISEASE AND SET AN EXAMPLE, EVEN IF  
25 IT'S NOT THE MOST IMPRESSIVE ONE, BECAUSE EVERYTHING



1 ELSE WILL FALL IN PLACE AFTER THAT. EVERYTHING WILL BE  
2 MUCH EASIER TO FOLLOW AFTER THAT.

3 SO MAYBE THINKING ABOUT CELL-BASED THERAPY OR  
4 REGENERATIVE MEDICINE THE WAY WE'RE TALKING ABOUT IT  
5 MIGHT NOT BE THE MOST DIRECT WAY TO FOCUS ON A  
6 SHORT-TERM REWARD. MAYBE THE DERIVATION OF EMBRYONIC  
7 STEM CELLS FROM DISEASE BACKGROUND CAN BE USED AS A  
8 PHARMACOLOGICAL PLATFORM TO FIND DRUGS THAT WILL FIX  
9 THE PROBLEM AT THAT LEVEL AND BRING THOSE DRUGS TO A  
10 CLINICAL APPLICATION AS PERHAPS THE SHORTEST DISTANCE  
11 BETWEEN TWO POINTS.

12 I THINK THAT THERE IS NO REASON NOT TO HAVE  
13 70 LINES FROM 70 DISEASES. AND WE CAN START PLAYING  
14 LOTTERY. JUST BOMBARD IT WITH WHAT WE CAN. AND THE  
15 FIRST ONE THAT HITS THE TARGET IS THE ONE THAT IS  
16 PRIORITY TO FOLLOW UP. MAYBE THAT'S ONE WAY TO FOCUS  
17 THE EFFORT ON THE KIND OF THING NIH WILL NEVER BECAUSE  
18 NIH DOES NOT FUND MONEY FOR DERIVATIONS AND PROVIDE THE  
19 PLATFORM. IN FACT, THAT WILL EVEN ENCOURAGE SENDING  
20 THOSE CELL LINES TO EVERYBODY IN THE WORLD, AND WHOEVER  
21 GETS THERE FIRST.

22 DR. HALL: WE HAVE SEVERAL GROUPS WITHIN THE  
23 STATE THAT -- YOU PROBABLY KNOW BETTER THAN I DO, THAT  
24 ARE INTERESTED IN PURSUING THIS. AND I PERSONALLY SEE  
25 IT AS VERY PROMISING AND MAYBE EVEN ULTIMATELY, WHO

1 KNOWS, BUT IT ULTIMATELY MAY BE A RICHER VEIN TO MINE  
2 THAN EVEN CELL-BASED THERAPIES, USING THE CELLULAR  
3 MODELS OF DISEASE.

4 DR. BRIVANLOU: BECAUSE THESE DRUGS CAN THEN  
5 BE USED AS CHEMICAL PROBES, AND IT WILL SATISFY THE  
6 BASIC SCIENTISTS ABOUT THEIR UNDERSTANDING OF THE  
7 SIGNALING PATHWAYS OR OTHER THINGS THEY'RE INTERESTED  
8 DOING OR INTERESTED IN THE INITIAL STAGES OF THE  
9 APPLICATION OF IT. I THINK YOU CREATE A PLATFORM WHERE  
10 EVERYBODY GETS ENERGIZED TO WORK GETTING TO SOMEWHERE  
11 AS QUICKLY AS WE POSSIBLY CAN WITH WHAT WE HAVE IN HAND  
12 AND NOT TRY TO COME UP WITH SOMETHING IN ADVANCE OF  
13 THAT.

14 DR. HALL: ONE OF THE THINGS THAT I THINK WE  
15 HAVE THE LUXURY OF DOING, AS PAUL BERG MENTIONED IN OUR  
16 MEETING LAST OCTOBER, WE HAD A SORT OF SCIENTIFIC  
17 PRIORITY SETTING MEETING, WHICH WE INVITED A LOT OF  
18 PEOPLE, IT WAS THE FIRST STEP IN OUR STRATEGIC PLAN TO  
19 TALK ABOUT WHAT SHOULD WE BE DOING. AND PAUL BERG MADE  
20 THE POINT. HE SAID, A LITTLE BIT IN CONTRAST WHAT WE  
21 WERE SAYING BEFORE, YOU DON'T HAVE TO PUT ALL YOUR  
22 CHIPS IN ONE PILE. YOU HAVE ENOUGH FUNDS THAT YOU CAN  
23 MAKE MORE THAN ONE BET, AND I DON'T SEE THOSE AS BEING  
24 BETS ON DISEASES SPECIFICALLY, BUT ON TECHNOLOGIES AND  
25 ON PUSHING TO SEE IN THESE BASIC THINGS WHAT'S GOING TO

1 GIVE AND WHAT'S GOING TO WORK. AND SO I THINK THAT  
2 BOTH CELL REPLACEMENT THERAPY AND USING  
3 DISEASE-SPECIFIC CELLS AS MODELS FOR DISEASE TO LEARN  
4 ABOUT PATHOGENESIS AND/OR TO LEARN ABOUT GENETICS OR TO  
5 DEVELOP DRUGS, ALL OF THOSE THINGS, IT SEEMS TO ME, ARE  
6 VERY MUCH POSSIBLE.

7 DR. BRIVANLOU: MIGHT ALSO ADDRESS HEAD-ON  
8 THE CURRENT DICHOTOMY THAT EXISTS BETWEEN A CLINICAL  
9 APPROACH TO A PROBLEM VERSUS THE BASIC SCIENCE APPROACH  
10 TO A DISEASE. TO A LARGE EXTENT, POLITICIANS AND  
11 OBVIOUSLY THE BEST DOCTORS AND HOSPITALS WANT TO CURE A  
12 DISEASE AND A BASIC SCIENTIST WANTS TO FIGURE OUT,  
13 WELL, WHAT ARE THE SIGNALS FOR SUCH AND SUCH WITH A  
14 BASIC PROTOCOL GUIDE. WE CAN BRING THESE TWO ROLES  
15 TOGETHER IF WE CREATE A PLATFORM IN WHICH BOTH CAN GET  
16 ALONG.

17 MR. SERRANO-SEWELL: IT SEEMS QUITE  
18 CHALLENGING TO DO THAT. I DON'T MEAN TO INTERRUPT.  
19 I'VE HEARD THIS DISCUSSION IN A LOT OF DIFFERENT  
20 FORUMS, THE BASIC SCIENCE APPROACH AND THOSE QUESTIONS  
21 AND HOW OBSCURE THEY CAN REALLY BECOME, OR NOT OBSCURE,  
22 BUT AS LAYPERSON JUST CAN'T REALLY APPRECIATE IT AS  
23 MUCH AS A BASIC RESEARCHER CAN, OF COURSE. AND THEN  
24 ALSO DISEASE-SPECIFIC CLINICAL MODELS. AND IT'S A REAL  
25 CHALLENGE TO JOIN THE TWO AND SATISFY BOTH

1       CONSTITUENCIES AND ALSO EXPLAIN TO OUR BROADER  
2       CONSTITUENCY IN CALIFORNIAN AND THE WORLD, WHATEVER,  
3       WHAT WE'RE DOING IS THE DECISIONS, THE PRIORITIES THAT  
4       WE'RE MAKING TODAY WILL PAY DIVIDENDS TOMORROW. I  
5       DIDN'T MEAN TO INTERRUPT YOU, BUT IT SEEMS LIKE IT'S  
6       REALLY QUITE COMPLICATED.

7                 DR. BRIVANLOU: IT'S NOT GOING TO BE EASY,  
8       BUT I THINK IT'S A PLACE TO START.

9                 DR. JOYNER: WE'RE KIND OF TALKING ABOUT AN  
10       RFA TO CHALLENGE PEOPLE TO COME UP WITH WAYS TO PUT ALL  
11       THAT TOGETHER. I THINK JUST PUTTING AN RFA OUT JUST TO  
12       DO MORE STEM CELL RESEARCH IS JUST MORE OF THE SAME.  
13       IF WE COULD TACKLE THIS AND ACTUALLY COME UP WITH WAYS  
14       TO SOLVE THAT. AGAIN, THAT WILL SET AS AN EXAMPLE FOR  
15       THE REST OF THE WORLD.

16                CO-CHAIR SAMUELSON: CAN YOU SAY YOU WANT  
17       MEAN A LITTLE MORE?

18                DR. JOYNER: THAT BRINGS YOU FROM BENCH TO  
19       BEDSIDE, THAT CLEARLY HOW CAN YOU ACTUALLY BRING THESE  
20       PEOPLE WHO THINK QUIETLY DIFFERENTLY, DO DIFFERENT  
21       PARTS OF THE PROCESS TO ACTUALLY FUNCTION TOGETHER AS A  
22       UNIT AND SEE SOMETHING GO FROM BASIC SCIENCE THROUGH TO  
23       THE CLINIC. AND IT REALLY TAKES DIFFERENT EXPERTISE,  
24       BUT THE KEY IS HOW YOU GET THOSE DIFFERENT EXPERTS  
25       TALKING AND INTERACTING IN AN EFFECTIVE WAY THAT FEEDS

1 OFF EACH OTHER TO MAKE IT GO FURTHER.

2 CO-CHAIR SAMUELSON: SO IS THAT SOME KIND OF  
3 A TEAM BUILDING?

4 DR. HALL: SO WE CAN'T -- LET ME JUST -- WE  
5 CAN'T MAKE IT HAPPEN. WE CAN'T MAKE PEOPLE DO THIS.  
6 WE CAN DANGLE MONEY OUT THERE.

7 DR. JOYNER: IF YOU CAN.

8 DR. HALL: IF YOU CAN PUT IT TOGETHER IN A  
9 COMPELLING WAY THAT THIS DISTINGUISHED GROUP CAN PASS A  
10 FAVORABLE JUDGMENT ON IT, THEN WE WILL FUND IT,  
11 SOMETHING LIKE THAT.

12 DR. JOYNER: I THINK THE FEAR THERE IS THAT  
13 YOU CAN'T GO OUT SAYING WE'RE GOING TO SPEND THIS MUCH  
14 MONEY REGARDLESS BECAUSE YOU COULD END UP SPENDING  
15 MONEY ON CRAP. AND WE HAVE TO BE WILLING TO SAY NO.

16 DR. HALL: AN ACCEPTABLE ANSWER IS THAT NONE  
17 OF THESE ARE GOOD ENOUGH.

18 DR. JOYNER: GIVE ALL THE MONEY OUT, AND WITH  
19 THIS, YOU'D HAVE TO GO INTO IT WITH A MIND WE MAY NOT  
20 GIVE MUCH OUT.

21 MR. KLEIN: THERE'S ALSO AN OPPORTUNITY TO  
22 LOOK FOR CASES THAT ARE FAR DOWNSTREAM. USE OF  
23 CARDIOMYOCYTES DEVELOPED THROUGH EMBRYONIC STEM CELL  
24 LINES FOR TOXICITY TESTING FOR THE DEVELOPMENT OF  
25 THERAPEUTICS TO DO AN EARLY SCREENING OF TOXICITY TO

1 POTENTIALLY REDUCE THE COST OF GOING FAR DOWNSTREAM TO  
2 HUMAN TRIALS AND FINDING THAT THERE'S A REAL HUMAN  
3 TOXICITY. THE VENTURE CAPITAL WORLD HAS WRITTEN IN  
4 THEIR PRESS THAT THERE ARE VERY LARGE POTENTIAL SAVINGS  
5 IN THIS AREA. I DON'T KNOW THAT THAT'S CORRECT OR NOT  
6 CORRECT. BUT IT'S A CONCEPT THAT'S SIMPLE ENOUGH THE  
7 PUBLIC CAN UNDERSTAND IT. IT'S A FUNCTIONAL DELIVERY  
8 OF SOMETHING THAT COULD POTENTIALLY, BECAUSE IT'S FAR  
9 DOWNSTREAM AT THIS POINT, IF WE JUST ACCELERATE THE  
10 DEVELOPMENT BE A DELIVERABLE THAT'S DEFINED AND HAS A  
11 VERY SPECIFIC TARGET IN A REASONABLE TIMEFRAME.

12 SO IT'S POSSIBLE WE CAN IDENTIFY SOME OF  
13 THOSE OPPORTUNITIES, PUT THEM INTO A LIMITED CATEGORY  
14 OF FUNDS, BUT WHERE WE'RE NOT SHARING THE WHOLE COST,  
15 BUT A SMALL PORTION OF THE COST, BUT HAVE SOME EARLY  
16 DELIVERABLES.

17 CO-CHAIR SAMUELSON: IS THERE PRECEDENT FOR  
18 DIVIDING UP THE WORK OF MAKING THOSE ASSESSMENTS AMONG  
19 SCIENTIFIC GROUPS IN AND OUTSIDE CALIFORNIA?

20 DR. SVENDSEN: I'M HEARING SOME DIFFERENT  
21 THINGS. THAT SEEMS LIKE A PARTNERSHIP WITH INDUSTRY,  
22 THAT YOU PROVIDE SEED MONEY. IT'S SORT OF LIKE  
23 CONTACTS TO GET INDUSTRY INVOLVED. SO YOU GIVE THEM A  
24 LITTLE MONEY TO SET UP A SCREEN. JAMIE THOMPSON HAS  
25 COMPANIES ALREADY DOING CARDIOMYOCYTE SCREENING.

1 DR. MAXON: A LOT OF COMPANIES.

2 DR. SVENDSEN: SO THAT'S HAPPENING. SO I  
3 THINK THE SEED IDEA IS GOOD BECAUSE THEN THE COMPANY  
4 CAN TAKE IT, THE VENTURE CAPITAL PEOPLE COME IN AND  
5 GIVE FULL FUNDING. THAT SEEMS TO BE A DIFFERENT AREA.  
6 I KNOW FOX AND OTHERS DIVIDE UP THEIR RFA'S, THEIR  
7 INDUSTRIAL RFA'S NOW, ACADEMIC RFA'S, SO IT'S  
8 SATISFYING. THE SCREENING IDEA WOULD BE MUCH MORE --  
9 THE BASIC SCIENTISTS WOULD BE SO INTERESTED IN DOING  
10 THAT.

11 DR. KIMBLE: CAN I ASK JUST A GENERAL  
12 QUESTION? I'M TRYING TO UNDERSTAND WHAT OUR GOALS ARE  
13 IN THIS DISCUSSION. SO, YOU KNOW, WE'RE TALKING ABOUT  
14 A LOT OF DIFFERENT THINGS. ARE WE TRYING TO FIGURE OUT  
15 THE GUIDELINES FOR RFA'S? WHAT ARE WE TALKING ABOUT  
16 HERE? WHERE ARE WE GOING WITH THIS DISCUSSION?

17 CO-CHAIR ORKIN: I'LL JUST SPEAK FOR WHAT I  
18 THINK. I THINK WE'RE TRYING TO GIVE ADVICE OR  
19 SUGGESTIONS TO CIRM ABOUT HOW THEY MIGHT THINK ABOUT  
20 EITHER ORGANIZING PROGRAMS OR CONCEPTUALIZING RFA'S.

21 DR. KIMBLE: IS THIS TO TRY AND ARTICULATE  
22 WHAT THE RFA'S WILL BE ABOUT?

23 DR. HALL: PART OF IT IS, JUDY. WE'RE SORT  
24 OF IN AN INFORMATION GATHERING PHASE, LOOKING FOR  
25 IDEAS. AND SO I THINK ALL OF THOSE THINGS ARE COUPLED.

1 THAT IS, WHAT ARE THE SCIENTIFIC PROBLEMS THAT NEED TO  
2 BE SOLVED AND THAT WE COULD IDENTIFY AND GO AFTER? THE  
3 OTHER, WHAT KINDS OF STRUCTURES SHOULD WE TRY TO  
4 ENCOURAGE?

5 ONE OF THE THINGS THAT WE WILL END UP TALKING  
6 ABOUT IS HOW MUCH SHOULD IT BE DIRECTED VERSUS  
7 UNDIRECTED. TO WHAT EXTENT SHOULD WE PUT OUT SPECIFIC  
8 RFA'S VERSUS SAYING GIVE US YOUR BEST IDEAS? WE DON'T  
9 PRESUME TO KNOW WHAT THEY ARE. YOU TELL US, YOU KNOW,  
10 THE SORT OF TRADITIONAL NIH WAY.

11 DR. KIMBLE: SO GIVEN THE TIMEFRAME WE'RE IN,  
12 WHEN DO WE ACTUALLY NEED TO PUT OUT THE FIRST RFA'S,  
13 AND WHEN DO WE NEED TO BE MAKING THESE DECISIONS? IS  
14 THIS NINE MONTHS AWAY?

15 DR. HALL: OUR SCHEDULE IS WE'D LIKE TO  
16 FINISH THE STRATEGIC PLAN BY THE END OF THE YEAR AND  
17 THEN --

18 DR. KIMBLE: AND THEN HAVE OUR RFA'S GOING  
19 OUT IN JANUARY?

20 DR. HALL: WELL, GIVE US MAYBE SIX WEEKS.  
21 THE RFA'S WOULD GO OUT, BUT THE GOAL WOULD BE, IN MY  
22 MIND, IF WE CAN DO IT, WOULD BE TO HAVE A FIRST ROUND  
23 AT LEAST OF GRANTS LINED UP AND READY TO GO WHEN THE  
24 MONEY COMES IN, SO WE DON'T HAVE TO THEN SAY, OH-HO,  
25 LET'S GET STARTED. NOW LET'S PUT OUR RFA, BUT THAT WE



1 WOULD HAVE THEM.

2 A QUESTION WE WILL HAVE TO ANSWER, NOT  
3 NECESSARILY RIGHT NOW, BUT AT SOME POINT, IS WHAT  
4 SHOULD THOSE BE. THAT IS, WHAT ARE THE MOST IMMEDIATE  
5 NEEDS TO BE MET, OR HOW SHOULD WE STRUCTURE THAT?

6 ALSO, I GUESS THIS IS PART OF A BROADER  
7 DISCUSSION, AND THIS IS REALLY -- I THINK JOAN SHOULD  
8 BE THE ONE IN A WAY WHO SPEAKS TO THIS SINCE SHE WAS  
9 PUTTING TOGETHER THE FRAMEWORK FOR TONIGHT. IT MAY BE  
10 OF SOME HELP. IN YOUR PACKAGE, I THINK, ARE A LIST OF  
11 QUESTIONS RATHER BROAD AND GENERAL THAT WE PUT IN AND  
12 WE'LL COME BACK TO TOMORROW AFTER WE'VE HEARD SOME OF  
13 THE SPEAKERS AND TALK. JOAN, WHY DON'T YOU -- WHAT IS  
14 YOUR AIM FOR THE EVENING?

15 CO-CHAIR SAMUELSON: TO TRY TO START FROM  
16 SCRATCH IN THIS SESSION, IF NOT THE OTHERS, BECAUSE IT  
17 SEEMS TO ME THERE'S SO VERY MANY WAYS THAT WE COULD GO  
18 IN SPENDING THIS MONEY, THAT THERE MAY BE SOME SORT OF  
19 SHUTTING OFF OF OPTIONS IN THE COURSE OF, YOU KNOW,  
20 SORT OF DEFINING WHAT WE'RE DOING. AND SO I THINK  
21 THAT, YOU KNOW, THERE ARE TONS OF DIFFERENT WAYS TO  
22 LOOK AT IT AND DEFINE IT. AND I THINK IT'S GOOD TO  
23 JUST FOR A LITTLE AMOUNT OF TIME THROW IT OPEN.

24 DR. KIMBLE: JUST CURIOUS. WE'RE MEANDERING  
25 AROUND, AND I WAS JUST TRYING TO FIGURE OUT WHERE WE'RE

1 GOING.

2 DR. HALL: WELL, WE HOPE IT'S A PRODUCTIVE  
3 MEANDER. ONE ISSUE I'D LIKE TO COME BACK TO IS WE  
4 TALKED AT THE BEGINNING A LITTLE BIT ABOUT NEEDING A  
5 SUCCESS, ABOUT WANTING TO GET A THERAPY. SO WHAT'S A  
6 REALISTIC -- WHAT'S A REALISTIC TIMELINE HERE? CLIVE,  
7 YOU, PROBABLY AS MUCH AS ANYBODY, HAVE SORT OF THOUGHT  
8 ABOUT THIS AND ARE IN THE MIDDLE OF THIS. WHAT ARE  
9 YOUR THOUGHTS? IN A REALISTIC SENSE, WHEN MIGHT ONE  
10 HAVE A REAL THERAPY SPECIFICALLY FOR HUMAN EMBRYONIC  
11 STEM CELLS AS CELL REPLACEMENT THERAPY, LET'S JUST SAY?

12 DR. SVENDSEN: I THINK THERE'S A LOT MORE  
13 WORK NEEDS TO GO ON WITH THE CELLS, AND THERE ARE A LOT  
14 OF SAFETY ISSUES.

15 DR. HALL: CAN YOU ELABORATE ON THOSE?

16 DR. SVENDSEN: THERE'S COMPLETE ROADBLOCKS.  
17 I MEAN FIRST IS TERATOMA FORMATION HAS TO BE DEALT  
18 WITH. AND THE SECOND IS IMMUNE ISSUES, PROTECTION FOR  
19 ANYTHING OUTSIDE THE BRAIN. THE OTHER TYPE OF STEM  
20 CELL, I WAS AT STANFORD TODAY WITH IRV WEISSMAN AND  
21 THOSE GUYS, AND, YOU KNOW, THEY'RE GOING AHEAD, BUT  
22 THERE IS A STEM CELL TRIAL WITH FETAL-DERIVED STEM  
23 CELLS FOR BATTEN'S DISEASE, THE LOW-HANGING FRUIT.  
24 THEY'VE GOT FDA APPROVAL. THE CELLS ARE GOING TO GO  
25 INTO THE KIDS IN JANUARY. IRONICALLY STANFORD CAN'T

1 GET INVOLVED. THEIR IRB HASN'T LET THEM THE GO AHEAD,  
2 SO THEY ARE STILL BEING PRETTY CONSERVATIVE BECAUSE  
3 THEY'RE FETAL DERIVED.

4 DR. HALL: THEIR IRB --

5 DR. SVENDSEN: HAS SAID NO. THEY CAN'T DO  
6 ANY TRANSPLANTS IN STANFORD, SO IT'S GOING TO BE IN  
7 OREGON. SO EVEN THERE THERE'S ISSUES. YOU DON'T  
8 ALWAYS -- YOU CAN'T ALWAYS GO AHEAD IN YOUR PARTICULAR  
9 STATE OR YOUR PARTICULAR UNIVERSITY, DEPENDING ON THE  
10 IRB. SO THAT'S THE FIRST TRIAL THAT I KNOW OF THAT'S  
11 NOT ADULT STEM CELL DERIVED OR BONE MARROW DERIVED  
12 THAT'S GOING AHEAD. AND THAT'S FOR BATTEN'S, WHICH IS  
13 AN ENZYME DEFICIENCY AND IS REALLY LOW-HANGING FRUIT IN  
14 ONE SENSE. I HATE THAT TERM IN A WAY. IT IS SOMETHING  
15 THAT IS NOT GOING TO WORK, I DON'T THINK, BUT IT MAY  
16 PROVIDE SOME RELIEF BECAUSE YOU CAN GET THE ENZYME  
17 PRODUCED BY THE STEM CELL. THEY'RE NOT TRYING TO  
18 REDESIGN CIRCUITS.

19 SO IN THAT TIMEFRAME, IT'S PRETTY SHORT FOR  
20 DOING IT. THEY'VE GONE FROM MANUFACTURING THE CELLS.  
21 STEM CELLS, INC. IS HEADING IT UP. IT'S A COMPANY.  
22 SIX PATIENTS. I SAW THIS GO THROUGH NIH A NUMBER OF  
23 YEARS AGO. ARLENE REMEMBERS. IT DIDN'T QUITE GET  
24 THROUGH THERE. THEY'VE MANAGED TO DO IT WITHOUT NIH  
25 SUPPORT THROUGH RAISING MONEY THROUGH A COMPANY.

1 MR. KLEIN: PRIVATE DONORS.

2 DR. SVENDSEN: AND PRIVATE DONORS. SO I  
3 THINK IT'S A BREAKTHROUGH, THAT PARTICULAR TRIAL. WE  
4 ALWAYS FORGET ABOUT FETAL STEM CELLS. WE GO EMBRYONIC  
5 AND THEN ADULT. THERE'S A FETAL STAGE. AND THE NICE  
6 THING OF THOSE CELLS IS THEY'RE ONLY MAKING NEURAL  
7 TISSUE. THEY'RE NOT MAKING ANYTHING ELSE, AND THEY  
8 DON'T MAKE TERATOMAS.

9 CO-CHAIR ORKIN: JUST REMIND ME. THE ONES IN  
10 THOSE EXPERIMENTS WOULD BE --

11 DR. CHIU: FETAL.

12 CO-CHAIR ORKIN: THEY WERE FETAL CELLS,  
13 RIGHT?

14 DR. CHIU: THEY WERE FETAL TISSUE, SO IT'S A  
15 HETEROGENEOUS MIXTURE.

16 CO-CHAIR ORKIN: THERE'S NOT MUCH DIFFERENCE.

17 DR. SVENDSEN: THEY'RE VERY DIFFERENT. IN  
18 THE EYES OF THE FDA, VERY DIFFERENT BECAUSE THERE'S NO  
19 MANUFACTURING PROCESS. SO AS SOON AS YOU TAKE A RAW  
20 FETAL TISSUE AND JUST TRANSPLANT IT, AS SOON AS YOU PUT  
21 YOUR FETAL TISSUE IN A DISH AND EXPOSE IT TO, SAY, MICE  
22 TO GET THE STEM CELLS GROWING, YOU COME UNDER THE FDA  
23 REGULATIONS.

24 DR. HALL: THAT'S AN IMPORTANT DISTINCTION I  
25 HAD NOT APPRECIATED. THAT'S VERY INTERESTING.

1 DR. SVENDSEN: JUST TO ANSWER YOUR QUESTION,  
2 THE TIMEFRAME COMPLETELY DEPENDS ON WHAT, YOU KNOW,  
3 YOUR MOONSHOT IS AND HOW -- YOU KNOW, AND ONES GOING  
4 AHEAD, THE ALS PROGRAM WE'RE INVOLVED WITH IS IN THE  
5 MIDDLE PHASE WHERE THEY'RE GOING BACK AND FORTH TO THE  
6 FDA ABOUT LARGE ANIMAL TOX STUDIES. THE GOAL IS QUITE  
7 LOW THERE, PUTTING CELLS IN THAT CAN MAKE A GROWTH  
8 FACTOR.

9 THERE IS RISK WITH ANY CELL YOU PUT IN THE  
10 BRAIN, AND THEY'VE ACCEPTED THE RISK OF FETAL CELLS.  
11 AND I THINK ONE OF THE OPTIONS THAT CIRM HAS, I THINK,  
12 IS EMBRYONIC STEM CELLS, I THINK, IS A GREAT IDEA TO DO  
13 THINGS YOU CAN'T DO RIGHT, NOW WHICH IS TO GENERATE  
14 LINES. WE CAN LEARN A LOT ABOUT THAT. GENERATE THEM  
15 TO PROVIDE STEM CELLS. THAT'S ONE THING.

16 YOU KNOW, SUPPORTING THE BASIC CORE NEEDS FOR  
17 PEOPLE. WE TALKED ABOUT CORE FACILITIES AND DRIVING  
18 THESE ROADBLOCKS, WHICH WE ALL KNOW ABOUT, IMMUNE  
19 REJECTION AND TERATOMAS AND GETTING ON WITH IT. IF WE  
20 GET THAT DONE, THAT WILL HELP OPEN UP THE FIELD FOR THE  
21 TRANSLATION. IT'S A BLACK HOLE, VALLEY OF DEATH WE ALL  
22 FACE WITH FUNDING.

23 DR. STEINDLER: FOR THIS TIMELINE ISSUE,  
24 ANDERS BJORKLAND AT THE EUROPEAN NEUROSCIENCE MEETING  
25 YESTERDAY GAVE A WONDERFUL TALK WHERE HE'S USING THIS

1 LMX 1 EMBRYONIC STEM CELL LINE FOR PARKINSON'S DISEASE  
2 AND WAS ASKED BY SOMEONE IN THE AUDIENCE AFTER HIS TALK  
3 WHERE HE HAD A BEAUTIFUL EMBRYONIC STEM CELL DERIVED,  
4 DOPAMINE DERIVED, ALMOST A HUNDRED PERCENT EFFICIENCY  
5 GENERATED FROM THE ES CELL FROM THIS LMX LINE. ASKED  
6 BY, I DON'T KNOW IF IT WAS A REPORTER, WHEN DO YOU  
7 THINK THIS IS GOING TO REACH THE CLINIC? AND ANDERS  
8 BJORKLAND, WHO IS EXTREMELY CONSERVATIVE, SAID TWO TO  
9 FIVE YEARS. AND THE REASON HE DIDN'T SAY TWO TO FIVE  
10 MONTHS WAS THAT AMIDST ALL THE BEAUTIFUL DOPAMINE IN  
11 HIS TRANSPLANTS WERE THESE TERATOMAS. SO --

12 CO-CHAIR ORKIN: A SMALL PROBLEM.

13 DR. STEINDLER: SO IN TWO TO FIVE YEARS, HE  
14 IMAGINES THAT HE WILL USE THE FRUITS OF WHAT WE'RE  
15 TALKING ABOUT HERE WHERE THERE WILL BE LARGE-SCALE  
16 SCREENING AND HIGH THROUGHPUT SCREENING OF WAYS IN  
17 WHICH YOU CAN GET PURIFIED POPULATIONS THROUGH FACTS OR  
18 GOD KNOWS WHAT ELSE TO GET RID OF ALL OF THE CELLS THAT  
19 ARE UNDIFFERENTIATED FROM THESE. HE THINKS THAT WILL  
20 TAKE TWO TO FIVE YEARS.

21 CO-CHAIR ORKIN: I'LL ADDRESS THE TIMEFRAMES  
22 A LITTLE TOMORROW.

23 DR. CHIU: COUPLE OF POINTS. ONE IS N TERA  
24 2'S, YOU MAY REMEMBER ABOUT TEN YEARS AGO, THEY WERE  
25 CARCINOMIC CELLS THAT WERE PREDIFFERENTIATED INTO

1 NEURONS. AND THEN THERE WAS A PHASE I TRIAL FOR  
2 STROKE, AND THEY INTRODUCED THEM INTO BRAIN, AND THEN  
3 IT WAS DROPPED. NOTHING HAPPENED. THE POINT IS THEY  
4 JUST SAT THERE AND NOTHING HAPPENED. SO THERE COULD BE  
5 TRIALS WHERE THERE WAS SAFETY, AND THEN IT ENDS RIGHT  
6 THERE TOO. AND WE SHOULD BE PREPARED THAT SOME TRIALS  
7 MIGHT JUST DIE LIKE THAT.

8 AND A SECOND THING --

9 DR. HALL: SORRY. CAN YOU -- I'M NOT SURE I  
10 GOT THE POINT, THAT YOU THINK THEY WERE ABANDONED  
11 UNFORTUNATELY OR --

12 DR. CHIU: FORTUNATELY. WE MIGHT FIND THINGS  
13 IN PHASE I, THAT EVEN THOUGH WE GET INTO TRIALS, THAT'S  
14 THE END OF THAT. WE MIGHT LEARN THINGS THAT WE HAVE TO  
15 GO BACK TO THE BENCH OR THAT PARTICULAR LINE OF CELLS,  
16 BE IT TERATOMAS OR WHATEVER, CANNOT PROCEED ANYMORE,  
17 AND WE HAVE TO GO BACK TO THE BENCH TO SEE WHAT WE CAN  
18 DO WITH IT. THEY LEARNED A LOT. THEY LEARNED THAT  
19 THESE CELLS JUST SAT THERE. THEY DIDN'T MIGRATE, SO IT  
20 WOULDN'T WORK WITH BATTEN'S. THEY JUST DIDN'T FORM  
21 SYNAPSES. THEY JUST SAT THERE AND DIDN'T HURT THE  
22 PATIENTS, BUT IT DIDN'T HELP THEM EITHER.

23 DR. SVENDSEN: COMPANY WENT BANKRUPT.

24 DR. HALL: DIDN'T HELP THE COMPANY.

25 DR. CHIU: THE OTHER THING WAS IT'S

1 INTERESTING YOU SAID THAT ABOUT THE LMX 2 CELLS IS THAT  
2 AT ISSCR WE HEARD THAT SINGAPORE HAS DEVELOPED A SERIES  
3 OF MONOCLONAL ANTIBODIES AGAINST UNDIFFERENTIATED HUMAN  
4 ES CELLS. AND OF THE BANK, THAT SMALL SET OF  
5 MONOCLONALS, ONE OF THEM KILLS UNDIFFERENTIATED ES  
6 CELLS. SO THAT MIGHT BE A VERY FAST WAY OF WIPING OUT  
7 THOSE GUYS BEFORE YOU PUT SOME IN TOO. SO THERE MAYBE  
8 THAT TWO TO FIVE YEARS, IT PROBABLY WILL BE TWO TO FIVE  
9 YEARS, BUT THESE ARE THE SORTS OF INCREMENTS THAT ARE  
10 BASIC RESEARCH, BUT WILL HELP CLEAN UP SOMETHING FOR  
11 CLINICAL RESEARCH.

12 MR. KLEIN: IN TERMS OF WHERE OUR SCOPE IS,  
13 SEPARATE FROM, ZACH, THE TIMETABLE YOU JUST DESCRIBED,  
14 I DON'T KNOW IF YOU WERE ADDRESSING THE BOARD'S PRIOR  
15 DISCUSSION ON INNOVATION GRANTS WHERE WE WENT OUT TO  
16 LOOK FOR AN EARLY STAGE, WE NEED TO INVENTORY THE IDEAS  
17 THAT ARE OUT THERE BECAUSE AS THE REFERENCES, UNTIL YOU  
18 SEE WHAT THE IDEAS ARE THAT ARE OUT THERE, WHERE DO YOU  
19 SEE -- HOW YOU DEFINE YOUR OPPORTUNITY. AND  
20 PARTICULARLY BECAUSE OF THE STRICT NIH GUIDELINES ON  
21 LACK OF ANY FEDERAL FUNDS OR USE OF FEDERAL EQUIPMENT  
22 OR FEDERAL SUPPLIES, THE SCIENTISTS IN CALIFORNIA NEED  
23 SEED MONEY FUNDING TO JUST GET THEIR INITIAL CONCEPT  
24 EXPERIMENTAL DATA TO BE ABLE TO COME BACK WITH A LATER  
25 WELL-DEVELOPED PROPOSAL.



1                   SO AS AN INITIAL STRATEGIC STEP HERE, IS THE  
2 BOARD GOING THE WRONG DIRECTION, OR DON'T WE NEED TO BE  
3 ABLE TO PUT SEED MONEY OUT THERE IN A ROUND THAT IS  
4 WIDE OPEN AND INVENTORIES ALL THE IDEAS FROM THOSE  
5 PEOPLE WHO COME IN BECAUSE WE'RE PRESUPPOSING WHAT IS  
6 THE OPPORTUNITY WITHOUT PROVIDING THE OPPORTUNITY TO  
7 REALLY BRING IN THE BRILLIANT NEW IDEAS THAT ARE OUT  
8 THERE ACROSS THE STATE AND GIVE PEOPLE THE FUNDS TO GET  
9 EXPERIMENTAL DATA TO AT LEAST SHOW SOME PRELIMINARY  
10 PROOFS OF THE DIRECTION THEY WANT TO GO.

11                   CO-CHAIR SAMUELSON: I'D LIKE TO FOLLOW UP ON  
12 THE ANDERS BJORKLAND EXAMPLE. SEVERAL OF YOU SAID THAT  
13 IT'D BE GREAT TO HAVE ONE SUCCESS, AND ANDERS IS A  
14 REPUTABLE GUY. LET'S SAY THAT THERE'S ENORMOUS MERIT  
15 TO THIS TWO- TO FIVE-YEAR TIMELINE OF HIS. WHAT OTHER  
16 WORK SHOULD BE GOING ON NOW IN THE NEXT FEW YEARS THAT  
17 WOULD PREPARE THAT FOR PRIME TIME?

18                   DR. STEINDLER: FINDING THE SURVIVAL FACTORS  
19 THAT ARE GOING TO KEEP THOSE CELLS ALIVE AFTER YOU'VE  
20 BEEN SUCCESSFUL GRAFTING AND YOU DON'T GET TERATOMA  
21 FORMATION. SO HE'S VERY HAPPY NOW THAT HE'S FOUND A  
22 BETTER NONFETAL CELL FROM PARKINSON'S TRANSPLANTATION  
23 MODELS THAT LOOKS LIKE IT'S VERY ROBUST IN ITS  
24 GENERATION OF NEURONS AND ALL KINDS OF OTHER THINGS,  
25 BUT WE STILL DON'T KNOW IF THAT CELL WILL SURVIVE IN AN

1 ADULT UNHAPPY BRAIN CELL. SO THERE'S GOING TO HAVE TO  
2 BE LARGE-SCALE SCREENING OF SURVIVAL FACTORS FOR  
3 DISCOVERY OF DRUGS, SOME OF WHICH MAY ALREADY BE FDA 1  
4 APPROVED, TO KEEP THOSE GUYS HAPPY ONCE THEY'RE  
5 GRAFTED. WOULD YOU AGREE?

6 DR. SVENDSEN: YEAH. I'M NOT SURE THAT, EVEN  
7 IF YOU HAVE THE IDEAL DOPAMINE ON THE BRAIN, THAT IT'S  
8 GOING TO WORK. THERE ARE A LOT OF DATA ON THE SIDE  
9 EFFECTS. SO IT'S A COMPLICATED FIELD.

10 LET'S GO BACK TO BOB'S POINT, AGAIN, GOING  
11 BACK TO WHY WE'RE TALKING, JUDITH'S POINT, IT SOUNDS TO  
12 ME LIKE WE'RE BOUNCING AROUND, BUT I THINK IT JUST  
13 SEEMS LIKE I CAN SEE FOCUSES COMING IN A FEW SPECIFIC  
14 RFA AREAS, AND MAYBE A WILD CARD RFA WHICH IS GOING TO  
15 TRY AND GET THESE AMAZING IDEAS THAT ARE OUT THERE IN,  
16 SAY, CALIFORNIA. I THINK MAYBE THREE RFA'S. AND,  
17 AGAIN, THIS IS NOT -- I'M SURE YOU GUYS ARE DOING THIS,  
18 THINKING ALONG THESE LINES -- OF HAVING A WILD CARD RFA  
19 RATHER LIKE THE FOX FOUNDATION HAS ITS OPEN -- ALTHOUGH  
20 I'M ON THE REVIEW PANEL, THERE'S 250 APPLICATIONS.

21 DR. HALL: WHICH FOUNDATION?

22 DR. SVENDSEN: THE FOX FOUNDATION. THEY HAD  
23 250 APPLICATIONS THIS YEAR FOR THE SHORT-TERM GRANTS.

24 MR. CLAEYS: WHICH IS ABOUT AVERAGE. THEY DO  
25 AN ANNUAL INVESTIGATOR INITIATED PROGRAM EVERY YEAR

1       LIKE CLOCKWORK AND GET ABOUT 200, 250 APPLICATIONS.

2               DR. HALL: AS NIH BUDGETS GET TIGHTER, I  
3       DON'T THINK --

4               CO-CHAIR ORKIN: HOW MANY DID THEY FUND?

5               DR. SVENDSEN: THIS YEAR IT'S GOING TO BE  
6       AROUND 20 TO 25 OF THOSE IS WHAT I'M HEARING. THEY'VE  
7       ACTUALLY DONE AN INTERESTING THING WHICH IS RELEVANT AS  
8       WELL IS THAT THEY SHIFTED. THEY USED TO GIVE  
9       THREE-YEAR AWARDS. THEY'RE ONLY GIVING ONE-YEAR AWARDS  
10      NOW, AND IT'S SUBJECT TO REVIEW AFTER A YEAR. SO I  
11      THINK IT'S A REASONABLE IDEA. WE HAD A LONG DISCUSSION  
12      ABOUT IT. I THINK A HUNDRED THOUSAND --

13              DR. HALL: IS IT RENEWED BY THIS COMMITTEE?

14              DR. SVENDSEN: YEAH, BY THIS COMMITTEE. THE  
15      IDEA IS THAT AFTER A YEAR, YOU CAN GET RENEWAL FOR THE  
16      NEXT YEAR AND THE NEXT YEAR IF YOU SHOW PROGRESS AFTER  
17      A YEAR, BUT THEY'RE MUCH STRICTER THAN NIH. NIH IS  
18      LIKE JUST HAND IN YOUR UPDATE FOR THE YEAR. THEY ARE  
19      REALLY TAKING TO IT TO TASK, AND THE LAST SET OF  
20      GRANTS, ONLY ABOUT 50 PERCENT MANAGED TO GET  
21      SECOND-YEAR FUNDING. THE FIRST WENT BY THE WAYSIDE  
22      BECAUSE THEY DIDN'T PRODUCE ANYTHING IN A YEAR.

23              CO-CHAIR ORKIN: IT'S WHERE THEY HAVE THE BAR  
24      FOR THE SECOND YEAR BECAUSE IF IT'S TOO TIGHT, THEY'RE  
25      GOING TO THROW AWAY A LOT OF THEIR FUNDING.

1 DR. SVENDSEN: THAT'S THE TRICK. OUR SCARE  
2 IS LIKE THREE YEARS ISN'T ENOUGH, A YEAR ISN'T ENOUGH  
3 TO DO ANYTHING. BUT ACTUALLY IT REALLY STIMULATED  
4 PEOPLE TO PRODUCE IN THE FIRST YEAR. SO JUST TO GET  
5 SOMETHING OUT THE DOOR. AND I THINK IT'S NOT A -- IT'S  
6 A REASONABLE IDEA TO THINK ABOUT FOR CIRM.  
7 PRODUCTIVITY -- YOU GOT A LOT OF MONEY. AND MY WORRY,  
8 A LOT OF PEOPLE'S WORRY, IS THAT THIS IS GOING TO GO TO  
9 THE TOP 10 PERCENT. THE LAST TIME 50, 60 PERCENT OF  
10 GRANTS COMING IN WERE GETTING FUNDING. YOU HAVE TO  
11 KEEP THAT ENERGY GOING. THIS MAY BE A WAY TO FUND A  
12 LARGE NUMBER OF GRANTS, BUT THEN IN A YEAR BE SELECTIVE  
13 AND CAN START DOING SOME REALLY HEAVY DECISIONS AND  
14 MAKING PEOPLE REALIZE THIS ISN'T A SLUSH FUND.

15 DR. HALL: WILLING TO EVERY YEAR TO CHECK  
16 SEVERAL HUNDRED GRANTS?

17 MR. CLAEYS: IT'S AN INTERACTIVE PROCESS TOO  
18 BECAUSE THE INVESTIGATOR GETS A CHANCE TO EXPLAIN WHY  
19 THEY HAVEN'T HAD PROGRESS, IF THEY HAVEN'T.

20 DR. HALL: THAT WOULD BE GOOD. WE COULD HAVE  
21 THEM COME HERE BEFORE OUR STUDY SECTION.

22 CO-CHAIR ORKIN: I THINK OUR TERM IS UP.

23 DR. STEINDLER: THIS SOUNDS FAMILIAR. THERE  
24 WAS A PROGRAM DIRECTOR AT NIH WHO USED TO READ PROGRESS  
25 REPORTS EVERY YEAR, AND SHE DIDN'T GIVE US OUR MONEY

1 EITHER.

2 DR. CHIU: MICHAEL J. FOX REVIEWS AFTER ONE  
3 YEAR. AND I JUST THOUGHT THAT'S A LOT OF WORK FOR NOT  
4 VERY MUCH MONEY, AND YOU NEED THAT MANPOWER TO REVIEW.  
5 HOW ARE WE GOING TO DO THAT? I AGREE THAT IT'S VERY  
6 STRINGENT, AND IT WOULD BE NICE TO BE ABLE TO MONITOR  
7 THAT TIGHTLY. BUT GIVEN OUR -- WHAT'S IN PROPOSITION  
8 71, IF WE DID THAT, EVEN FOR A SEGMENT OF THE GRANTS,  
9 HOW DO YOU THINK YOU COULD DO IT?

10 MR. KLEIN: ARLENE, POSSIBLY TO ANSWER YOUR  
11 QUESTION, THE TIMING OF THIS, ON CLIVE'S EXAMPLE, WITH  
12 A ONE-YEAR GRANT, WE WILL BE COMING UP AT THE END OF  
13 THAT YEAR ON THE TIMETABLE WHEN THERE COULD BE  
14 LEGISLATIVE ENHANCEMENTS WHERE, IN FACT, THE  
15 INTERPRETATION OF ENHANCEMENTS OF THE MANNING OF OUR  
16 GRANT REVIEW COMMITTEE COULD BE EXPANDED SO THAT THERE  
17 COULD BE -- IN FACT, THE PEOPLE ON OUR GRANT REVIEW  
18 COMMITTEE COULD POTENTIALLY BECOME THE COORDINATING  
19 POINT FOR INDIVIDUALS THAT ARE REPORTING TO THEM SO  
20 THAT YOU COULD FURTHER DIVERSIFY AND ADD TO YOUR  
21 MANPOWER.

22 I THINK, FROM THE INFORMATION THAT I HAVE,  
23 GIVEN THE VOLUME OF WORK, WE'RE GOING TO NEED A  
24 LEGISLATIVE ENHANCEMENT THAT PROVIDES SOME ABILITY TO  
25 EXPAND THE SCIENTIFIC MANPOWER ON THIS COMMITTEE.

1 ZACH, IS THAT YOUR READ?

2 DR. HALL: ABSOLUTELY. ACTUALLY WE HAD TO  
3 STOP THE DISCUSSION AT THE MAY 25TH MEETING. EVERYBODY  
4 KEPT SAYING YOU GUYS ARE NEVER GOING TO BE ABLE TO  
5 REVIEW ALL THESE GRANTS WITH 15 PEOPLE. THAT IS A  
6 PROBLEM WE HAVE TO SOLVE.

7 DR. KIMBLE: HOW MANY GRANTS ARE WE TALKING  
8 ABOUT, AND WHAT ARE THE SIZE OF THE GRANTS? HAS THERE  
9 BEEN DISCUSSION OF THIS AT ALL?

10 DR. HALL: WE HAVEN'T SORT OF GOTTEN TO THAT.

11 DR. KIMBLE: BECAUSE THAT SEEMS LIKE IT WILL  
12 BE IMPORTANT, ESPECIALLY IF WE WANT TO START FUNDING  
13 INFRASTRUCTURE AND LABORATORIES WITH NONFEDERALLY  
14 FUNDED EQUIPMENT AND LAB SPACE. THOSE ARE GOING TO BE  
15 LARGE GRANTS.

16 DR. HALL: UP TO 10 PERCENT CAN BE USED FOR  
17 FACILITIES.

18 DR. KIMBLE: MAYBE THAT SHOULD BE THE FIRST  
19 THING THAT HAPPENS.

20 MR. KLEIN: WELL, THERE'S A FACILITIES  
21 COMMITTEE THAT DEALS WITH BUILDINGS. AND IF THEY NEED  
22 INPUT, THEY'RE GOING TO COME TO YOU FOR OVERALL PROGRAM  
23 EVALUATION INPUT. IN FACT, DAVID IS THE CO-CHAIR OF  
24 THE FACILITIES COMMITTEE WITH PERSONNEL WHO HAVE  
25 BACKGROUND IN DEVELOPING SPECIALIZED FACILITIES FOR

1 RESEARCH AND/OR MAJOR REAL ESTATE INFRASTRUCTURE.

2 BUT IN TERMS OF THE SIZE OF THE GRANTS, TO  
3 THE EXTENT THAT THE ORIGINAL GRANTS ARE INNOVATION  
4 GRANTS, FASTER CURES, FOR EXAMPLE, HAS A FAIRLY SHORT,  
5 RELATIVELY RAPID REVIEW PROCESS FOR SMALL SEED MONEY.

6 DR. KIMBLE: WHAT DO YOU MEAN SMALL?

7 MR. KLEIN: IT'S A HUNDRED TO 200,000 ARE  
8 WHAT THEY'RE DEALING WITH.

9 DR. KIMBLE: PER YEAR?

10 DR. HALL: WE'VE CONSIDERED 200,000 A YEAR  
11 FOR TWO YEARS, LET'S SAY, AS A SMALL GRANT. AND I  
12 THINK WE WILL -- I THINK WE HAVE NOT SAT DOWN AND  
13 REALLY TRIED TO FIGURE OUT THE MONEY ON THIS AND TO TRY  
14 TO MAKE THE ANALYSES, BUT I THINK WE WILL HAVE THE  
15 ABILITY TO GIVE GRANTS THAT ARE PERHAPS A BIT BIGGER  
16 THAN NIH IF WE WANT TO DO THAT. BUT I THINK THE POINT,  
17 THE REAL POINT, IS WHAT DO WE WANT TO DO, AND THEN WE  
18 WILL COME BACK AND TRY TO PUT DOLLAR FIGURES ON THEM  
19 AND SEE WHAT MAKES SENSE.

20 DR. KIMBLE: DO YOU THINK THERE'S ANY REASON  
21 TO INSIST THAT THEY BE MULTIDISCIPLINARY AND THAT THERE  
22 BE BASIC AND CLINICAL SCIENCE IN EVERY GRANT, FOR  
23 EXAMPLE?

24 DR. BRIVANLOU: I CAN ANSWER THAT. THAT  
25 DOESN'T WORK IN THE EXAMPLE OF THE HANK GREENBERG AND

1 OTHERS, DONATION FOR THE TRI-INSTITUTION STEM CELLS  
2 BETWEEN ROCKEFELLER, CORNELL, AND MEMORIAL SLOAN. AND  
3 THE DONOR HAD WISHED THAT THE CONDITION SHOULD BE THAT  
4 THEY WOULD BE A COLLABORATION AMONG CLINICIANS, NO. 1;  
5 AND, NO. 2, ONE MEMBER PER UNIVERSITY. WHAT ENDED UP  
6 HAPPENING IS THAT IT WAS, FOR REASONS TOO LONG TO  
7 EXPLAIN, SOME OF THEM PERHAPS NOT EVEN UNDERSTOOD, A  
8 LOT OF THOSE MARRIAGES WERE MARRIAGES OF CONVENIENCE,  
9 NOT OF SYNERGY. AND SO IN THAT SENSE A LOT OF GRANTS  
10 WERE FUNDED, BUT IN A VERY WEIRD WAY. MAYBE TWO OUT OF  
11 49 COULD HAVE NOT BEEN FUNDED BY THE NIH. EVERYTHING  
12 ELSE WOULD HAVE BEEN FUNDED BY THE NIH.

13 SO BY CREATING THESE ARTIFICIAL COALITIONS,  
14 YOU CREATE SCENARIOS THAT ARE VERY UNPREDICTABLE  
15 BECAUSE PEOPLE COME TOGETHER BASED ON NECESSITY, NOT  
16 BASED ON THE PENETRANCE OF THEIR IDEAS. AND NECESSITY  
17 BECOMES HUGE WHEN NIH HAS A BUDGET CUT, SO EVERYBODY  
18 AND THEIR DOGS WHO CANNOT GET THEIR GRANTS ANYMORE  
19 FINDS A COLLABORATOR AND ATTACH THEMSELVES TO STEM  
20 CELLS. THAT'S THE LAST THING YOU WANT.

21 DR. HALL: WE HAVE A LOT OF -- I'VE ALREADY  
22 SEEN SOME OF THAT, NOT FROM THIS POSITION, BUT WHEN I  
23 WAS AT USC. ONCE THE PROPOSITION 71 WAS ANNOUNCED, IT  
24 WAS LIKE CONVERSION IN THE STREETS. PEOPLE WHO HADN'T  
25 BEEN FUNDED FOR YEARS WERE TALKING ABOUT HOW SUDDENLY



1     THEY WERE REALLY INTERESTED IN STEM CELLS. I THINK  
2     THAT IS SOMETHING WE HAVE TO BE A LITTLE BIT CAREFUL  
3     ABOUT, BUT I DON'T THINK THAT'S A REAL PROBLEM IF  
4     SOMEBODY, AS ALEX, I THINK, SAID EARLIER, I THINK WE  
5     HAVE TO BE WILLING TO SAY AT TIMES WE'RE NOT  
6     PRECOMMITTING TO A CERTAIN AMOUNT OF MONEY. WE'RE  
7     PRECOMMITTING TO A CERTAIN LEVEL OF QUALITY. YOU DON'T  
8     HAVE THAT QUALITY, WE WON'T SPEND THE MONEY.

9             AGAIN, THROUGH PROPOSITION 71 WE CAN HOLD  
10    THAT MONEY OVER, AND WE DON'T HAVE TO SPEND IT ALL.

11            CO-CHAIR SAMUELSON: I GUESS I'M WONDERING,  
12    IN ANSWERING QUESTIONS LIKE HOW BIG SHOULD GRANTS BE,  
13    HOW MUCH IS KNOWN AND HOW FEASIBLE IS IT TO DO AN  
14    ASSESSMENT OF WHAT OTHER FUNDING ENTITIES THAT ARE THAT  
15    ARE FUNDING PIECES OF THE SAME QUESTIONS OR THE WHOLE  
16    SAME AREA? AND ARE THERE CERTAIN NICHEs THAT REALLY  
17    ARE IN GREATER NEED THAN OTHERS, EVEN SOME ROAD MAP OF  
18    WHAT'S NEEDED?

19            DR. HALL: WELL, I GUESS, WHAT I SAID BEFORE.  
20    MY VIEW IS THAT YOU START WITH THE QUESTION OF NOT THE  
21    SIZE THE GRANTS, BUT WHAT'S THE PROBLEM. HOW ARE WE  
22    GOING -- WHAT DO WE WANT OUT OF IT? AND IF YOU WANT TO  
23    HAVE A VERTICAL STRUCTURE, IF YOU DECIDE THAT'S WHAT  
24    YOU WANT TO DO TO TRY TO GET THESE TEAMS, THAT'S A LOT  
25    OF MONEY. IF YOU WANT TO DO AS BOB SAID AND WHAT CLIVE

1 CALLED, I THINK, THE INNOVATION GRANTS OR THE WILD CARD  
2 RFA'S SORT OF, THEN YOU OBVIOUSLY ARE NOT GOING TO PUT  
3 HUGE AMOUNTS OF MONEY INTO THAT UNLESS YOU'RE CONVINCED  
4 IT'S GOT A PRETTY GOOD CHANCE.

5 SO I THINK, AT LEAST IN MY VIEW, YOU WOULD  
6 START BY SAYING WHAT DO WE WANT TO ACCOMPLISH? WHAT DO  
7 WE WANT TO ACHIEVE? AND THEN SAY HOW MUCH MONEY WILL  
8 IT TAKE TO DO THAT? AND WE ARE, I THINK, FORTUNATE IN  
9 THAT \$300 MILLION A YEAR IS A LOT, AND WE WON'T HIT  
10 THAT IMMEDIATELY, BUT IT'S A SIZABLE AMOUNT. IT'S NOT  
11 A LOT IF YOU THINK IN TERMS OF THE WHOLE WORLD OF STEM  
12 CELL RESEARCH OR 70 DISEASES; BUT IF YOU THINK IN TERMS  
13 OF, I WOULD SAY, AND THIS IS JUST FOLLOWING UP ON  
14 CONVERSATION AT THE DINNER TABLE TONIGHT, THAT THERE'S  
15 A BIT OF A LAG BECAUSE, FOR VARIOUS REASONS, THAT THE  
16 FIELD IS UNDERDEVELOPED IN THIS COUNTRY. YOU CAN'T  
17 JUST AT ONE SWITCH ZOOM IT WAY UP. YOU'RE NOT GOING TO  
18 GET A+ -- WE'RE NOT GOING TO GET 500 A+ APPLICATIONS.

19 SO I THINK ALL THESE ARE SORT OF STRATEGIC  
20 QUESTIONS THAT WE WILL HAVE TO DEAL WITH, HOW TO TURN  
21 IT UP ENOUGH TO REALLY STOKE IT, BUT WITHOUT  
22 SACRIFICING QUALITY AND WITHOUT COMMITTING MONEY THAT  
23 YOU ARE GOING TO BE SORRY IN THREE YEARS YOU COMMITTED  
24 BECAUSE --

25 CO-CHAIR SAMUELSON: IS IT NOT RELEVANT WHAT

1 OTHER FUNDERS ARE DOING IN THE SAME AREA, OR IS IT JUST  
2 THAT IT'S JUST A LOT OF EFFORT THAT MIGHT NOT REALLY BE  
3 USEFUL?

4 DR. HALL: IT'S NOT THE MONEY SO MUCH. I  
5 THINK THE IDEA THAT WE'RE INTERESTED IN AND THAT WE GOT  
6 A LOT OF INFORMATION AT THE MAY 25TH MEETING IS WHAT'S  
7 WORKED. GIVE US MODELS OF SUCCESSFUL WAYS OF DOING  
8 THINGS. AND ACTUALLY WE'RE GOING TO HEAR AN EXAMPLE,  
9 AT LEAST ONE, TOMORROW OF SUCCESSFUL WAYS OF DOING  
10 THINGS. AND THEN IF WE'RE CONVINCED IT WORKS, THEN I  
11 THINK WE THEN SAY, OKAY, LET'S TRY TO PUT A PRICE TAG  
12 ON IT AND SEE HOW MUCH OF THIS WE CAN DO. BUT YOU SEE  
13 WHAT I'M SAYING? RATHER THAN START WITH THE QUESTION  
14 OF LET'S DO THE FINANCIAL ANALYSIS, LET'S DO THE  
15 SCIENTIFIC ANALYSIS AND SAY WHAT KINDS OF DEVICES HAVE  
16 BEEN SUCCESSFUL? WHAT THINGS HAVE REALLY MADE THINGS  
17 HAPPEN? AND THEN GO FROM THERE.

18 MR. KLEIN: AND IN TERMS OF THIS RAMPING-UP  
19 PROCESS, IF YOU LOOK AT THE ORIGINAL BUSINESS PLAN THAT  
20 WE SUBMITTED TO THE LEGISLATIVE ANALYST'S OFFICE, IN  
21 THE FIRST TWO YEARS, AT LEAST IN THAT BUSINESS PLAN,  
22 WHICH THE BOARD HAS TO YET LOOK AT INDEPENDENTLY AND  
23 MAKE DECISIONS ON, THERE IS A MANDATE TO MEET IN THE  
24 INITIATIVE OF GETTING NEW FACILITIES OUT THERE TO GET  
25 INDEPENDENT SPACE FREE OF NIH RESTRICTIONS AND

1 INDEPENDENT MAJOR EQUIPMENT FREE OF NIH RESTRICTIONS,  
2 SOME OF WHICH YOU KNOW IS EXTRAORDINARILY EXPENSIVE.  
3 BUT A HUNDRED TO \$125 MILLION A YEAR IN THE FIRST TWO  
4 YEARS IS GOING INTO BUILDING AND HEAVY EQUIPMENT. SO  
5 YOU ARE NOT GETTING UP TO THE \$250 MILLION LEVEL EVEN  
6 UNTIL THREE YEARS OUT WHILE YOU'RE MEETING THESE BASIC  
7 STRUCTURAL CONSTRAINTS AND CREATING INSULATION FROM THE  
8 VOLATILITY OF THE FEDERAL.

9 DR. HALL: LET ME ACTUALLY ASK THIS GROUP A  
10 QUESTION WE'VE ASKED IN SEVERAL CONTEXTS BEFORE, BUT  
11 I'D BE CURIOUS TO HEAR YOUR REACTION TO IT. AND THAT  
12 IS TO WHAT EXTENT SHOULD WE -- WHAT PORTION OF OUR  
13 BUDGET OR HOW SERIOUSLY OR SHOULD WE DO IT AT ALL FUND  
14 NOT PROJECT-BASED SCIENCE, BUT SAY HERE IS A GROUP OF  
15 REALLY GOOD PEOPLE OR HERE'S A REALLY GOOD PERSON WHO  
16 HAS A GREAT RECORD. WE'RE NOT GOING TO ASK FOR 40  
17 PAGES OF DOCUMENTATION ABOUT WHAT THEY'RE GOING TO DO.  
18 WE'RE GOING TO ASK FOR A GENERAL PLAN AND LOOK FOR A  
19 DISTINCTION IN PAST ACCOMPLISHMENT AND SAY WE'LL FUND  
20 THIS PERSON FOR FIVE YEARS OR WHATEVER IT IS. IT'S A  
21 SEMI-HHMI MODEL, IF YOU WANT TO CALL IT THAT, AND I  
22 THINK ONE CAN THINK OF IT IN SEVERAL CONTEXTS.

23 ONE IS WITH ESTABLISHED INVESTIGATORS WHOSE  
24 REPUTATIONS ARE SECURE AND WHERE YOUR BETS ARE PRETTY  
25 EASILY MADE IN SOME SENSE. THE OTHER, I THINK, IS WITH

1 YOUNG PEOPLE, AND I THINK WE HAVE A PARTICULAR NEED  
2 THERE BECAUSE PEOPLE HAVE BEEN DISCOURAGED FROM COMING  
3 INTO THE FIELD. AND I THINK TO BE ABLE TO GIVE PEOPLE  
4 BOTH SALARY SUPPORT, IF NECESSARY, BUT PARTICULARLY TO  
5 GIVE THEM MONEY FOR SEVERAL YEARS AND LET THEM TAKE  
6 CHANCES. I ACTUALLY THINK THAT'S WHERE YOU DO NOT WANT  
7 TO ASK PEOPLE TO COME IN AFTER ONE YEAR FOR YOUNG  
8 PEOPLE. I THINK THAT'S A KILLER THERE. YOU REALLY  
9 WANT TO LET THEM MAKE SOME MISTAKES AND LET THEM TRY  
10 OUT WILD CARD IDEAS, BUT TO GET REALLY GOOD PEOPLE.

11 AND THEN ANOTHER AREA THAT IS OF PARTICULAR  
12 INTEREST ARE YOUNG CLINICAL FACULTY, PEOPLE DOING  
13 PATIENT-BASED RESEARCH. THEY DON'T HAVE FTE'S IN MOST  
14 UNIVERSITIES, AND SO THEY'RE PUSHED BY THEIR CHAIRS TO  
15 MAKE THEIR SALARIES BY GOING INTO THE CLINIC. IN MY  
16 EXPERIENCE AT UCSF IN WORKING WITH THESE PEOPLE, THE  
17 BIGGEST NEED WAS NOT MONEY FOR TECHNICIANS OR LAB OR  
18 WHATEVER, IT WAS JUST TO BUY TIME SO THEY COULD HAVE  
19 THE TIME TO WORK. SO THAT IS ANOTHER OPTION, TO PUT  
20 SOME MONEY INTO SUPPORTING GOOD PEOPLE RATHER THAN GOOD  
21 PROJECTS WITH THE IDEA THAT REALLY GOOD PEOPLE WILL DO  
22 GOOD THINGS EVEN IF YOU DON'T KNOW QUITE WHAT THEY ARE  
23 OR CAN'T PREDICT THEM. IT'S WORTH THAT INVESTMENT.

24 OBVIOUSLY I THINK WE CAN'T -- WE'RE NOT GOING  
25 TO BE THE HOWARD HUGHES INSTITUTE. WE'RE NOT GOING TO

1 DO THAT ACROSS THE BOARD; BUT WHETHER WE CONSIDER DOING  
2 IT AT ALL, I'D BE INTERESTED IN THE THOUGHTS OF THIS  
3 GROUP ABOUT THAT.

4 CO-CHAIR ORKIN: I THINK IT'S A VERY  
5 INTERESTING IDEA. OBVIOUSLY ESTABLISHED INVESTIGATORS  
6 ARE GOING TO WANT THAT BECAUSE THEY'RE GOING TO WANT TO  
7 BE FUNDED IN PERPETUITY. I THINK THE HUGHES EXPERIENCE  
8 IN THEIR COMPETITIONS HAS BEEN THAT THE MORE JUNIOR YOU  
9 GO, THE HIGHER THE FAILURE RATE. AND SO YOU HAVE TO BE  
10 VERY SURE WHERE YOU TARGET, AND YOU MAY NOT WANT TO  
11 TARGET SOMEBODY JUST EXITING A POST DOC. YOU MAY WANT  
12 TO TARGET SOMEBODY WHO'S BEEN IN THE FIELD FOR TWO OR  
13 THREE YEARS.

14 DR. KIMBLE: OR FIVE YEARS.

15 CO-CHAIR ORKIN: OR FIVE YEARS. SO THAT'S  
16 PROBABLY WHERE YOU'RE GOING TO GET THE MOST BANG FOR  
17 THE BUCK, I SUSPECT. FAILURE IS JUST TOO HIGH AT THE  
18 LOWER LEVEL.

19 CO-CHAIR SAMUELSON: IT'S FAILURE THAT THEY  
20 AREN'T REALLY --

21 CO-CHAIR ORKIN: THEY'RE NOT AS GOOD AS THEY  
22 APPEAR.

23 DR. HALL: THE PROBLEM IS YOU CAN'T TELL.

24 CO-CHAIR ORKIN: THESE PEOPLE ARE ALL GOING  
25 TO COME FROM EXCELLENT LABORATORIES. THEY'LL LOOK

1 REALLY GOOD, BUT YOU CAN'T TELL HOW MUCH IS THEM AND  
2 HOW MUCH IS THE LAB.

3 DR. KIMBLE: YOU WANT PEOPLE THAT HAVE BEEN  
4 OUT FOR FIVE YEARS AS INDEPENDENT INVESTIGATORS TO SEE  
5 WHAT THEIR TRACK RECORD IS ON THEIR OWN.

6 DR. JOYNER: THERE'S ALSO JUST THAT FIRST  
7 THREE YEARS OF ANY YOUNG INVESTIGATOR. SO MUCH OF THAT  
8 IS FIGURING OUT HOW TO RUN A LAB, NOT BEING PRODUCTIVE.

9 CO-CHAIR ORKIN: I THINK THE HUGHES  
10 EXPERIENCE WAS ALSO IF YOU DROP HALF A MILLION DOLLARS  
11 ON SOME NEW INVESTIGATOR, THEY TEND TO SORT OF HIRE  
12 ANYBODY WHO HAS A HEARTBEAT, AND THAT'S NOT NECESSARILY  
13 IN THEIR BEST INTEREST. SO THEY MAY EXPAND TOO SOON.

14 DR. HALL: BUT I THINK CONTINUITY IS VERY  
15 IMPORTANT THERE, AND CERTAINLY I ASSUME WE ALL KNOW  
16 REALLY GOOD YOUNG PEOPLE IN SOME CASES WHO START OUT  
17 WHO JUST HAVE A TERRIBLE TIME GETTING GOING, GETTING  
18 THEIR FIRST GRANTS. SOMETIMES IT'S BECAUSE THEY'RE  
19 REALLY GOOD SCIENTISTS AND THEY'RE NOT SUCH GOOD GRANTS  
20 PEOPLE.

21 CO-CHAIR ORKIN: USUALLY WHAT HAPPENS IS YOU  
22 GO TO A PLACE, YOU GET A GOOD START-UP PACKAGE, SO YOU  
23 DO HAVE THAT FIRST PERIOD OF TIME. THE REAL ISSUE IS  
24 WHAT HAPPENS AT THAT THREE- TO FIVE-YEAR WINDOW, I  
25 THINK, AND THAT'S WHERE YOU CAN MAKE THE MOST

1 DIFFERENCES.

2 DR. BRIVANLOU: PUSHING THE HUGHES ANALOGY A  
3 LITTLE BIT FARTHER, WHAT ABOUT WHAT THEY'RE DOING NOW  
4 IN THAT FARM WHERE YOU CREATE A NUCLEUS WHERE -- IT'S  
5 AN INSTITUTE. I'M SORRY.

6 DR. KIMBLE: JANELIA FARM.

7 DR. BRIVANLOU: CREATE AN INSTITUTE WHERE  
8 MAYBE IT'S SLIGHTLY DIFFERENT, NOT THAT PEOPLE ARE  
9 PERMANENTLY BASED THERE FOREVER, BUT THAT YOU CAN  
10 RECRUIT FOR CHUNKS OF TIME PEOPLE WHO ARE INTERESTED IN  
11 DOING THIS KIND OF WORK WHO COULD NOT DO IT OTHERWISE  
12 IN THEIR OWN INSTITUTIONS BECAUSE OF NIH. I THINK THAT  
13 CAN ACCOMPLISH TWO THINGS. FIRST, YOU SELECT THE HARD  
14 CORE ONES WHO ARE WILLING TO MOVE AROUND TO GET THE JOB  
15 DONE AND WILLING TO GO THE EXTRA MILE. SECOND, YOU CAN  
16 MAXIMIZE THE OUTPUT BY CREATING A CENTRAL FACILITY  
17 WHERE PEOPLE CAN SHARE THINGS IN THE CORE FACILITIES AS  
18 OPPOSED TO PROVIDING THE SAME MONEY TO TEN DIFFERENT  
19 LABS TO BUY TEN MICROSCOPES.

20 DR. HALL: SO THERE ARE SORT OF TWO IDEAS  
21 WE'RE DISCUSSING, AND I'D ACTUALLY BE INTERESTED IN THE  
22 VIEWS OF PATIENT ADVOCATES OF BOTH THE KINDS OF THINGS  
23 THAT ALI IS DESCRIBING WHERE YOU REALLY TRY TO FORM AN  
24 INTEGRATED SCIENTIFIC COMMUNITY. I'M NOT QUITE SURE  
25 HOW WE WOULD DO THAT. MAYBE WE COULD SAY WE WOULD GIVE



1 MONEY TO A UNIVERSITY TO DO IT.

2 DR. BRIVANLOU: CIRM CANNOT HAVE ITS OWN  
3 INSTITUTES?

4 DR. HALL: NO. WE'RE LIMITED TO 50 PEOPLE  
5 THAT ARE PAID BY US DIRECTLY. SO THAT WE CAN'T DO  
6 EASILY. MAYBE WE COULD CHANGE THE LEGISLATION OR  
7 SOMETHING. I DON'T KNOW.

8 MR. KLEIN: NOT EASILY.

9 (SIMULTANEOUS DISCUSSION.)

10 CO-CHAIR ORKIN: EVEN IF WE COULD DO THAT, I  
11 THINK IT WOULD PROBABLY CONSUME TOO MUCH OF YOUR BUDGET  
12 PER YEAR. I THINK THE OTHER THING IS AS YOU GET CLOSER  
13 TO ACTUALLY DOING SOME CLINICAL INTERVENTION, YOU WANT  
14 TO BE CLOSER TO THE PATIENTS THAN IN SOME BUILDING  
15 SEPARATE FROM.

16 DR. HALL: WHAT ABOUT SUPPORTING PEOPLE?  
17 WHAT'S YOUR SENSE OF THAT?

18 MS. DE LAURENTIS: NO. I THINK THERE'S  
19 REALLY SOMETHING TO BE SAID FOR PUTTING MONEY IN THE  
20 FIELD TO ATTRACT THE INVESTIGATORS, AND ALL OF THESE  
21 YOUNG PEOPLE THAT ARE COMING UP ARE NOT GOING TO GO  
22 INTO A FIELD THAT DOESN'T HAVE FUNDING. THAT'S  
23 OBVIOUS. I THINK THAT'S AN ISSUE THAT REALLY SHOULD BE  
24 LOOKED AT SERIOUSLY.

25 DR. HALL: WHAT ABOUT FUNDING OF ESTABLISHED

1 INVESTIGATORS?

2 CO-CHAIR SAMUELSON: IF THEY HAVE A TRACK  
3 RECORD OF ACCOMPLISHMENT THAT SEEMS PARALLEL, WHICH I  
4 THINK MAY NEED SOME CLINICAL PIECE OR MAYBE NOT, MAYBE  
5 NOT. I WONDER IF GETTING TOO FOCUSED MAY UNDERMINE  
6 SOMETHING ESSENTIAL TO THE INTELLECTUAL ENTERPRISE OF  
7 SCIENCE, YOU KNOW, JUST SITTING IN A CORNER AND  
8 DREAMING BIG DREAMS AND GETTING THE BIG IDEA.

9 MS. DE LAURENTIS: I LOVE THE IDEA OF TAKING  
10 A BET ON SOMEONE THAT'S REALLY SMART AND GIVE THIS  
11 MONEY AND SAY GO FOR IT. AND THEN ON THE OTHER HAND,  
12 HAVING ANOTHER CHANNEL WHERE YOU'RE GIVING YOUNG  
13 INVESTIGATORS MONEY TO GET THEM STARTED IN THE FIELD.

14 DR. HALL: TO COME BACK TO --

15 MR. CLAEYS: IT'S GREAT TO HAVE THE  
16 WHEREWITHAL TO DO SOME OF THOSE THINGS SIMULTANEOUSLY.  
17 SO --

18 DR. HALL: I WANT TO JUST PICK UP ON WHAT  
19 JOAN SAID AND ALSO THE MAN IN THE MOON ANALOGY BECAUSE  
20 IT IS -- THERE ARE TWO KINDS OF IDEAS THAT WE, I THINK,  
21 WILL NEED TO BALANCE. ONE IS TO SAY WE KNOW WHAT THE  
22 TARGET IS EVEN IF IT'S 70 OF THEM, BUT THE TARGET IN  
23 OUR CASE, YOU COULD SAY, WOULD BE, AT LEAST ONE OF  
24 THEM, WOULD BE TO HAVE THERAPIES BASED ON STEM CELL  
25 RESEARCH. CELL REPLACEMENT THERAPIES AT SOME EARLY

1 STAGE IN THE CLINIC. THAT SEEMS A VERY DIRECTED THING  
2 FOR US.

3 BUT ON THE OTHER HAND, AS JOAN POINTS OUT, WE  
4 ALL KNOW THAT SOME OF THE BEST IDEAS COME FROM THINGS  
5 WE DON'T KNOW ABOUT AND THAT WE WON'T KNOW ENOUGH TO  
6 ASK ABOUT. AND SO ONE WILL NEED TO HAVE MECHANISMS  
7 THAT GIVE YOU BOTH UNEXPECTED RESULTS AND MECHANISMS  
8 THAT WILL LEAD YOU TOWARD A GOAL THAT YOU CAN SEE  
9 PRETTY CLEARLY.

10 MR. SHEEHY: TO ME IT SEEMS LIKE THERE SEEMS  
11 TO BE SOME SORT OF MILESTONES. THAT JUST SEEMS JUST TO  
12 LET PEOPLE GO OFF MAKES ME A LITTLE BIT NERVOUS. YOU  
13 KNOW, MAYBE IT'S MY EXPERIENCE AT UCSF. BUT, YOU KNOW,  
14 I JUST -- AND ESPECIALLY WITH THE ESTABLISHED  
15 INVESTIGATORS BECAUSE A LOT OF TIMES IT SEEMS LIKE A  
16 LOT OF PEOPLE KEEP GETTING NIH FUNDING, THEY HAVE A  
17 PARTICULAR ALMOST A PASSION THAT'S ALMOST A FETISH, AND  
18 IT JUST NEVER SEEMS TO REALLY GO ANYWHERE. WE GET  
19 REALLY BRIGHT PEOPLE AND THEY HAVE GREAT IDEAS AND THEY  
20 MAKE INTERESTING DISCOVERIES AND THEY GET PUBLISHED,  
21 BUT AT THE END OF THE ROAD, NOBODY HAS REALLY BEEN  
22 MATERIALLY AFFECTED, AND THEY'RE REALLY GREAT PEOPLE  
23 USUALLY TOO, GREAT PERSONALITIES.

24 DR. HALL: I HESITATE TO ASK WHO THAT WOULD  
25 BE.

1                   MR. SHEEHY: BUT ONE THING I THOUGHT WAS  
2 INTERESTING IS IF WE WERE GOING TO DO SOMETHING LIKE  
3 THIS, IF THERE WERE SOME WAY TO THROW ALL THESE PEOPLE  
4 TOGETHER ON A REGULAR BASIS. SO IF WE WERE TO KIND OF  
5 MAKE IT AS A COHORT, THREE- TO FIVE-YEAR-OUT  
6 INVESTIGATORS, THAT WE ASSEMBLED AT VARIOUS TIMES AND  
7 LET THEM KIND OF TELL EACH OTHER WHAT THEY'RE DOING AND  
8 KIND OF STIMULATE A COMMUNITY AND KIND OF PUSH THESE  
9 PEOPLE THROUGH THIS TEN YEARS OF OUR FUNDING, SO TO  
10 SPEAK. AND KIND OF -- BUT I THINK IT'S -- ONE OF THE  
11 PROBLEMS I ALWAYS SEE IS THAT THERE'S SO MANY SILOS,  
12 AND EVERYBODY DOES THEIR THING, AND WE JUST SEND  
13 SOMEBODY OFF TO DO THEIR THING. IT SEEMS THE COMMON  
14 THING THAT'S COMING OUT IS IF WE'RE REALLY GOING TO GET  
15 FROM BENCH TO BEDSIDE, WE'RE GOING TO HAVE TO BREAK  
16 DOWN SOME OF THE SILOS.

17                   DR. HALL: YOU KNOW, IT IS --

18                   MR. SHEEHY: THE ONE I DO THINK IS A GREAT  
19 IDEA IS BUYING THE TIME. I THINK THAT'S GOING TO BE  
20 ABSOLUTELY NECESSARY BECAUSE THERE WERE TWO PIECES TO  
21 THAT. I DO THINK -- I THINK IT'S A REAL PROBLEM FOR  
22 CLINICIANS TO BE ABLE TO DO RESEARCH, AND I THINK --  
23 WE'RE SEEING IT IN HIV WHERE THEY END UP GOING INTO  
24 PRACTICE AND DOING SOMETHING ELSE. SO I THINK THAT'S A  
25 BIG PIECE ACTUALLY.

1 DR. HALL: IT IS TRUE, JEFF, AND OTHERS MAY  
2 WANT TO COMMENT OR EVEN DISAGREE, IF YOU WANT TO, THAT  
3 THE WAY SCIENCE HAS DEVELOPED, CERTAINLY DURING MY  
4 CAREER, IS THAT IT IS MUCH, MUCH, MUCH MORE  
5 COLLABORATIVE THAN IT USED TO BE. WHEN I WAS A  
6 STUDENT, EACH LAB WAS A SORT OF INDEPENDENT LITTLE UNIT  
7 COMPETING MORE OR LESS INDEPENDENTLY AGAINST EVERYBODY  
8 ELSE. NOW EVERYBODY HAS GOT COLLABORATIONS BECAUSE  
9 THEY NEED TECHNOLOGIES, THEY NEED REAGENTS, THEY NEED  
10 THINGS. AND IT'S BEEN A GOOD DEVELOPMENT. I THINK  
11 THAT'S PART OF WHAT'S EXCITING ABOUT THE FIELD.

12 SO I THINK PEOPLE ARE OPEN TO IT IF YOU GIVE  
13 THEM A PUSH AND PROVIDE VENUES FOR THEM TO GET  
14 TOGETHER.

15 DR. JOYNER: BUT THAT WOULD BE ONE WAY TO DO  
16 THIS INSTEAD OF WRITING UP A REPORT AND HAVING TO  
17 REVIEW IT. IF YOU HAD MEETINGS, LIKE EVERYONE WHO'S  
18 FUNDED HAS TO COME, AND ONLY A COUPLE OF US WOULD HAVE  
19 TO BE AT ANY ONE, YOU KNOW, MEETING.

20 DR. HALL: THIS WOULD BE GOOD. AS THEY TALK,  
21 YOU WOULD DECIDE IF THEY WOULD GET FUNDED FOR THE NEXT  
22 YEAR OR NOT.

23 DR. JOYNER: I THINK THERE WOULD BE THREE  
24 THINGS OUT OF IT. YOU'D GET THAT. YOU'D GET SHARING  
25 OF INFORMATION AND STIMULATING COLLABORATIONS AND

1 IDEAS, AND GET RID OF, YOU KNOW, THINGS GETTING DONE  
2 TWICE. I THINK IT WOULD SOLVE A LOT OF THINGS, AND WE  
3 WOULD PROBABLY GET A LOT OUT OF IT. IT COULD BE QUITE  
4 AN INTERESTING DAY OF TALKS.

5 DR. KIMBLE: YOU MIGHT BE ABLE TO COUPLE IT  
6 WITH A RETREAT WITH STUDENTS TO HAVE INVESTIGATORS AND  
7 THE STUDENTS WHO WERE BEING FUNDED. THEY COULD BE VERY  
8 SYNERGISTIC.

9 DR. HALL: FOR PEOPLE WHO HAVE BEEN PART OF  
10 THINGS LIKE THE CIRL (PHONETIC) OR A FEW OF THESE OTHER  
11 THINGS, THOSE ARE FUN AND REALLY GREAT FOR YOUNG  
12 PEOPLE.

13 DR. JOYNER: THAT'S PART OF WHAT I HAD TO  
14 SIGN. I WOULD GO ONCE A YEAR AND GIVE A TALK ON WHAT I  
15 HAD DONE, ACCOMPLISHED. SO THAT PUTS ME ON THE BALL.  
16 I KNOW NEXT MAY I HAVE TO BE ABLE TO GIVE A TALK.

17 DR. SVENDSEN: I JUST WANT TO FINISH THIS  
18 THOUGHT OF THIS IDEA OF WHETHER WE HAVE TO DO THE  
19 REVIEWS AND THE FRIGHTENING ASPECT OF DOING THAT. ONE  
20 WAY AROUND THAT IS TO SET THE SPECIFIC MILESTONES THE  
21 PROGRAM CAN ACTUALLY ASSESS. IN OTHER WORDS, YOU SET  
22 YOUR FIRST-YEAR MILESTONES. AND INTERNALLY, AGAIN  
23 GOING BACK TO FOX, THEY DO A PRETTY GOOD JOB OF  
24 SCREENING GRANTS. THEY HAVE VERY EDUCATED PEOPLE ON  
25 THEM. WE HAVE SCIENTISTS AT CIRM WHO CAN REVIEW TO A

1 LEVEL AND SAY, YEAH, THEY MET THEIR MILESTONES. AND  
2 THOSE ONES THAT COME ON THE LINE GET REVIEWED MORE  
3 CAREFULLY. I THINK YOU CAN DO THIS PROGRAMMATICALLY IF  
4 YOU SET REVIEWS INITIALLY AND SAY ARE THOSE REALISTIC  
5 MILESTONE? OKAY. THAT'S ACHIEVABLE. THEY CAN DO  
6 THAT. IF THEY DON'T, THEN WE SET PRIORITIES AND  
7 CUTOFFS MUCH MORE RIGIDLY.

8 DR. HALL: IT MIGHT BE ALSO POSSIBLE ALSO TO  
9 DO SOME OF THAT AT THE STAFF LEVEL AND THEN BRING THE  
10 PROBLEM CASES TO YOU.

11 DR. SVENDSEN: EXACTLY. WE GET THE PROBLEMS,  
12 AND SO THE MAJORITY MAYBE YOU CAN SOLVE  
13 PROGRAMMATICALLY WITH THE STAFF HERE. AND I THINK THAT  
14 WOULD BE A WAY TO MAKE IT FLOW BETTER, GIVE  
15 RESPONSIVENESS. I'M JUST WORRIED, LIKE YOU ARE, THAT  
16 IF WE JUST GIVE FREE REIN -- I LOVE THIS IDEA OF JUST  
17 GIVING SOME, NO GRANT WRITING, GIVE THEM A MILLION  
18 DOLLARS. I THINK THAT WOULD WORK ONE OUT OF FIVE.  
19 IT'S LIKE VENTURE CAPITAL. BUT PRACTICALLY FOR  
20 CALIFORNIA, FOR THE PUBLIC, WHO ARE WATCHING YOU WITH A  
21 MICROSCOPE BIGGER THAN ANY WE COULD BUY, THEY'RE GOING  
22 TO WANT TO KNOW WHAT HAPPENED TO THE MONEY. AND I  
23 THINK THAT IS GOING TO BE YOUR PROBLEM. WHEN YOU  
24 REPORT BACK WHAT HAPPENED, WELL, WE GAVE MONEY TO THIS  
25 GUY BECAUSE HE'S GREAT.

1 DR. HALL: I THINK THOSE ARE TWO SEPARABLE  
2 THINGS; THAT IS, GIVING MONEY ON THE BASIS OF A  
3 PROJECT, SPECIFIC PROJECT, VERSUS ON THE BASIS OF A  
4 CAREER OF PRODUCTIVITY DOES NOT MEAN THAT YOU'RE NOT  
5 EQUALLY ACCOUNTABLE IN THE TWO CASES. IT IS IN THE ONE  
6 CASE THAT YOU MAY BE MORE TIED TO A SPECIFIC THING, AND  
7 THE OTHER -- I MEAN, YOU KNOW, IF DAVID BALTIMORE HAS  
8 MONEY FROM US, IF HE DOESN'T DO WHAT HE SAID HE'S GOING  
9 TO DO, BUT DOES SOMETHING TWICE AS INTERESTING, I'M  
10 JUST AS HAPPY.

11 DR. STEINDLER: AS A REVIEWER, I LIKE THE  
12 SECOND, THIS STAFF RELIANCE ISSUE. SO ALSO AS A  
13 REVIEWER FOR FOX FOUNDATION, WHEN I, FOR YOUR POINT,  
14 ALEX, SIT IN FROM OF THE INVESTIGATORS, I DON'T HAVE TO  
15 SIT AND WRITE HUGE REPORTS. STAFF HAS DONE A HUGE  
16 AMOUNT FOR US. WE GO IN THE ROOM AND IT'S VERY SIMPLE.  
17 SO I TRUST THE STAFF OF THIS ORGANIZATION TO DO THE  
18 SAME BECAUSE WE DON'T HAVE THE TIME TO DO THAT.

19 MR. KLEIN: IN TERMS OF THE PUBLIC IN  
20 CALIFORNIA, THE FUNDAMENTAL ECONOMICS IN TERMS OF  
21 RETURN TO THE STATE WAS REALLY FOCUSED AROUND ENHANCING  
22 KNOWLEDGE OF PROGRESSION OF DISEASE, DEVELOPMENT OF  
23 DISEASE, SO YOU COULD ENHANCE THERAPEUTICS AND CLINICAL  
24 TREATMENTS BECAUSE EVEN AT THE MARGIN, IF YOU CAN  
25 REDUCE THE COST OF TREATMENT BY 5 PERCENT OR GET



1 SOMEONE OUT OF THE HOSPITAL 5 PERCENT EARLIER, THOSE  
2 ARE MAJOR IMPACTS ON THE COST OF CLINICAL CARE. SO WE  
3 HAVE PRODUCTS THAT ARE SEPARATE FROM NEW THERAPIES THAT  
4 ARE KNOWLEDGE THAT ALLOW US TO ENHANCE OUR ABILITY TO  
5 APPLY CURRENT THERAPIES AND HOW TO ADDRESS CLINICALLY  
6 TREATMENTS BECAUSE WE UNDERSTAND THE DISEASE  
7 PROGRESSION BETTER.

8 AND THAT'S A WHOLE AREA WHERE WE HAVE  
9 CLINICIANS WHO ARE FUNDED FOR RESEARCH TO INTERFACE  
10 WITH SCIENTISTS, AND WE CAN GET THE RIGHT QUESTIONS  
11 ASKED BY THE CLINICIANS, AND THE SCIENTISTS CAN DRIVE  
12 TOWARDS RESULTS ON SPECIFIC QUESTIONS, WE CAN HAVE SOME  
13 VERY EFFECTIVE RESULTS FROM THE PATIENT PERSPECTIVE,  
14 FROM THE CALIFORNIA LEGISLATIVE AND VOTER PERSPECTIVE.  
15 AND IF WE CAN DRIVE RESULTS IN THIS AREA OR WITH  
16 TOXICITY TESTING OR FUNCTIONALLY REDUCE THE COST OF  
17 DEVELOPING THERAPIES, EARLY RESULTS CAN HAVE HUGE  
18 REWARDS IN TERMS OF ENHANCING THIS PROGRAM.

19 IN THE CALIFORNIA HOUSING AND FINANCE AGENCY  
20 CASE, THE ORIGINAL AUTHORIZATION I WAS ABLE TO GET WAS  
21 \$500 MILLION. IT'S NOT GONE THROUGH \$20 BILLION ALL  
22 BASED UPON POSITIVE FEEDBACK AND PERFORMANCE OF WHAT  
23 WAS CONSIDERED A VERY HIGH RISK, WHICH IS AFFORDABLE  
24 HOUSING, WHERE THE RISK IS NOW ONE-TENTH OF THE RISK  
25 FOR CONVENTIONAL APARTMENTS FOR THE REGULAR MARKET

1 RATE.

2 SO I THINK THAT ONE OF THE THINGS WE NEED TO  
3 LOOK AT STRATEGICALLY HERE IS SOME OF OUR BEST IDEAS  
4 ARE GOING TO TAKE 20 YEARS. AND WE NEED A PLATFORM  
5 THAT DRIVES ENOUGH RESULTS IN THE FIRST FIVE YEARS THAT  
6 WE GET AN EXTENSION OF OUR FRANCHISE HERE BASED UPON  
7 INCREMENTAL, MEASURABLE RETURNS, COST RETURNS THAT MAY  
8 NOT BE NEW THERAPIES AT ALL, BUT MAY BE THE KNOWLEDGE  
9 TO ENHANCE EXISTING CLINICAL TREATMENTS.

10 CO-CHAIR SAMUELSON: WHICH IS PEOPLE HAVING  
11 LESS SUFFERING AND LONGER LIVES. THERE'S A REAL  
12 CONCRETE EFFECT.

13 MR. KLEIN: ABSOLUTELY.

14 MR. SERRANO-SEWELL: ZACH, I THINK THERE'S  
15 ENOUGH TO THE QUESTION YOU SORT OF POSED TO THE ICOC  
16 MEMBERS OF THE GRANTS WORKING GROUP, AS I UNDERSTOOD  
17 IT. I THINK, YES, THERE'S A ENOUGH RESOURCES IN THE  
18 BONDS. THERE'S ENOUGH TO SAY, YEAH, WE COULD DO THAT,  
19 CERTAINLY. AND I'M INTRIGUED BY THE IDEA OF JUST  
20 HAVING REALLY SMART PEOPLE SORT OF GO OFF AND DO THEIR  
21 THING AND COME BACK AT SOME POINT AND SHARE WITH US  
22 WHAT THEY'RE THINKING OF. THAT'S JUST EXCITING BEING  
23 IN THE ROOM, BUT I HAVE TO ASK AT THE END OF THE DAY  
24 WHERE IS IT ALL LEADING TO. SO WHAT? THAT'S GREAT. I  
25 DON'T CARE. IT HAS TO BE RELEVANT TO OUR, LIKE,

1 SHORT-TERM OBJECTIVES, AS YOU SAY, BOB. SO I WANT TO  
2 BALANCE IT WITH OUR FIRST FIVE YEARS. WHAT IS THAT WE  
3 HAVE TO ACCOMPLISH? WHAT IS IT THAT WE HAVE TO  
4 DEMONSTRATE? WHAT'S THE -- I ALSO WANT TO FIND ANOTHER  
5 WORD FOR LOW-HANGING FRUIT. I DON'T LIKE IT EITHER  
6 BECAUSE IT'S SO LOADED, AND IT'S GOING TO CREATE  
7 NOTHING BUT HEADACHES FOR US. SO WE'VE GOT TO FIND  
8 SOME OTHER WAY TO PHRASE THAT.

9 SO I THINK THESE INITIAL OBJECTIVES AND GOALS  
10 SORT OF OUTWEIGH THE SHOULD WE FUND THIS SORT OF SINGLE  
11 PERSON, WHETHER THEY BE ESTABLISHED OR -- I'M GOING ALL  
12 OVER THE MAP TOO BECAUSE I LIKE WHAT SUSAN SAID. WE  
13 NEED TO BRING PEOPLE INTO THE FIELD AS WELL; AND IF  
14 THERE'S NOT MONEY THERE, WE'RE NOT GOING TO DO THAT.

15 DR. HALL: I THINK IF IT HAS SOME MERIT, I  
16 THINK WE WOULD EXPLORE DOING SOME OF THAT. AND I THINK  
17 JUST SEE HOW IT SORTS OUT ONCE THE BUDGET THING.

18 JOAN, IT'S GETTING RATHER THAN LATE. DO YOU  
19 WANT TO SORT OF TRY TO PULL US ALTOGETHER HERE?

20 CO-CHAIR SAMUELSON: I'VE GOT ONE LITTLE  
21 FOLLOW-UP QUESTION, AND MY REACTION TO THOSE SORT OF  
22 FINAL THINGS IS THAT EVERYBODY ALREADY KNOWS THOSE  
23 THINGS. AND MAYBE IF WE'VE ABOUT REACHED THAT POINT,  
24 WE SAY GOOD NIGHT, SEE YOU IN THE MORNING.

25 DR. HALL: AS YOU WISH. THE FLOOR IS YOURS.

1       HOWEVER YOU WANT TO DO IT.

2                   CO-CHAIR SAMUELSON:  LET ME JUST ASK THIS ONE  
3       QUESTION, WHICH IS WHY WOULD WE MAYBE NOT SPEND SOME OF  
4       THE MONEY DOING A LOT OF CONVENING ON AN INTERNATIONAL  
5       LEVEL?  WE'VE GOT FREE MOSCONE CENTER, FREE DISCUSSION  
6       ROOMS AT THE AIRPORT, FREE ACCESS TO CONSULATES AND ALL  
7       OF THAT INTERNATIONAL DIMENSION.  WHY WOULD WE NOT  
8       BRING INTO THESE WORKSHOPS FOLKS THAT ARE WORKING ON  
9       THE SAME STUFF WHO MIGHT HAVE GREAT IDEAS AND CHALLENGE  
10      THE GRANTEES THAT WE ARE FUNDING?

11                  DR. HALL:  I THINK WE WANT TO, JUST AS WE DID  
12      LAST OCTOBER.  WE BROUGHT IN PEOPLE FROM ALL OVER.

13                  DR. KIMBLE:  THE QUESTION IS IS THAT  
14      DIFFERENT FROM WHAT'S ALREADY GOING ON BECAUSE THERE  
15      ARE LOTS OF MEETINGS.  WE HAVE TONS OF MEETINGS TO GO  
16      TO.

17                  CO-CHAIR SAMUELSON:  JUST FOR THE GOAL OF NOT  
18      DUPLICATING EFFORT.  I HEAR YOU, AND YOU DON'T WANT TO  
19      DO IT JUST TO DO IT.

20                  DR. KIMBLE:  EXACTLY.  YOU HAVE TO HAVE  
21      SOMETHING DIFFERENT.

22                  CO-CHAIR SAMUELSON:  I'M THINKING OF THE  
23      PEOPLE THAT ARE WORKING IN THE SAME AREA IN SWEDEN OR  
24      KOREA OR WHEREVER.

25                  DR. KIMBLE:  THERE'S LOTS OF INTERNATIONAL

1 MEETINGS. MAYBE THERE'S A DIFFERENT VENUE.

2 CO-CHAIR SAMUELSON: DOING IT VIRTUALLY ON  
3 THE WEB OR SOMETHING.

4 DR. HALL: ONE OF THE THINGS WE'RE DOING WITH  
5 THE UK, AND WE'LL SEE HOW THIS WORKS, IS THERE ARE  
6 GOING TO BE 16 SCIENTISTS FROM CALIFORNIA, 16 FROM  
7 THERE. WE'RE COMMITTED THAT THEY'LL BE ALL THE WAY  
8 FROM VERY JUNIOR TO VERY SENIOR, AND WITH AN  
9 OPPORTUNITY IN GREAT BRITAIN FOR VISITING LABS, IF  
10 NECESSARY, AS PART -- NOT IF NECESSARY, IF DESIRABLE,  
11 IT CAN BE ARRANGED. AND SO IT IS IN PART A WAY OF NOT  
12 JUST HAVING EVERYBODY COME AND GIVE THEIR TALK AND GO  
13 HOME, BUT TRYING TO ENCOURAGE PEOPLE TO SEEK OUT OTHERS  
14 WITH COMMON INTEREST. AND MY HOPE, PARTICULARLY FOR  
15 THE YOUNG PEOPLE, THAT THIS WILL BE GOOD EXPERIENCE.

16 MR. KLEIN: ARE THERE LARGE NUMBERS OF JUNIOR  
17 RESEARCHERS WHO GET CUT OUT OF INTERNATIONAL MEETINGS  
18 BECAUSE YOU CAN'T GET THE BUDGET SUPPORT?

19 DR. KIMBLE: NOT IF THEY'RE GOOD.

20 MR. KLEIN: EVEN ON AN INTERNATIONAL BASIS?

21 DR. KIMBLE: THAT'S MY OPINION. IF THEY'RE  
22 REALLY GOOD, THEY GO. IF THEY'RE NOT SO GOOD.

23 DR. HALL: THEY GOT A STORY TO TELL, PEOPLE  
24 WANT TO HEAR IT.

25 CO-CHAIR SAMUELSON: WELL, THANK YOU.

1 DR. JOYNER: MORE KIND OF THINK TANKS WITH A  
2 CERTAIN GOAL. THERE ARE ENOUGH JUST REGULAR STEM CELL  
3 MEETINGS, SO YOU HAVE TO STRUCTURE IT IN SOME WAY THAT  
4 THIS MEETING IS TO TRY AND COME UP WITH THESE IDEAS AT  
5 THE END. I'VE BEEN TO A FEW AROUND GENOMICS AND STUFF,  
6 AND IT'S REALLY FUN AND STIMULATING, BUT THEY'RE  
7 STRUCTURED FROM THE BEGINNING. AND THERE'S SOME TALKS,  
8 BUT IT'S TALKS. WE'RE TOLD KIND OF WHAT THE TALKS ARE  
9 SUPPOSED TO BE ABOUT BECAUSE THEY'RE ABOUT PROBLEMS  
10 THAT YOU ARE TRYING TO SOLVE.

11 CO-CHAIR SAMUELSON: SO THERE MIGHT BE AN RFA  
12 FOR IDEAS ABOUT BRINGING TOGETHER --

13 DR. JOYNER: NO. NO. NOT AN RFA, JUST A  
14 THINK TANK, MORE OF A MEETING. FOR A DAY OR TWO YOU  
15 SIT AND HAVE EXPERTS GIVE THEIR OWN SPIEL AND THEN  
16 DISCUSSION, BREAK-OUT DISCUSSION. I DON'T KNOW. IT  
17 SEEMS TO ME IN THIS WHERE YOU HAVE ALL THESE GOALS, YOU  
18 COULD STRUCTURE.

19 DR. HALL: MAYBE JOAN MEANT TO HAVE AN ISSUE  
20 TO CALL TO SAY WE ARE OPEN TO -- IF YOU WANT TO MONEY  
21 TO ORGANIZE A MEETING THAT WOULD BE LIKE THAT.

22 DR. JOYNER: SURE. YOU COULD DO THAT.

23 DR. KIMBLE: AND THERE WOULD BE A VENUE HERE  
24 TO DO THAT.

25 MR. KLEIN: WE MIGHT EVEN GET THEM TO GO IF

1 WE SAID IT WOULD BE IN CARMEL.

2 CO-CHAIR SAMUELSON: SO IT MIGHT BE THAT IF  
3 WE GATHER THOSE SMART PEOPLE, WE MIGHT TEASE OUT A  
4 SOLUTION THAT WOULD COME QUICKER THAN JUST --

5 DR. KIMBLE: SO THERE USED TO BE A GROUP OF  
6 MEETINGS IN MADRID. USUALLY THEY GET 25 PEOPLE  
7 TOGETHER AND STICK THEM THERE FOR THREE DAYS, AND THE  
8 TALKS WERE YOU'D HAVE A 25-MINUTE TALK THAT WAS  
9 FOLLOWED BY A 25-MINUTE PERIOD OF DISCUSSION. AND THAT  
10 SEEMED VERY ODD TO ME WHEN I FIRST WENT, BUT PEOPLE  
11 WERE TALKING. WE HAD TO STOP THE DISCUSSION AFTER 25  
12 MINUTES BECAUSE IT GAVE YOU TIME TO REALLY TALK ABOUT  
13 IT. PEOPLE WERE KEPT TO THEIR 25 MINUTES. IT WAS  
14 FABULOUS. AND THEN AFTER YOU GOT THREE TALKS THAT WERE  
15 EACH OF THEM AN HOUR WITH A LOT OF DISCUSSION, THEN  
16 THERE WOULD BE DISCUSSION ON THE WHOLE AREA. SOMETHING  
17 LIKE THAT COULD WORK REALLY WELL. AND I HAVEN'T BEEN  
18 TO A MEETING LIKE THAT IN A LONG TIME. MAYBE I HAVEN'T  
19 BEEN INVITED. I THINK BECAUSE THERE'S SO MANY PEOPLE  
20 NOW, YOU JUST DON'T DO THAT ANYMORE.

21 DR. SVENDSEN: THERE'S A ROUTE 28 MEETING,  
22 WHICH SOME OF YOU MAY HAVE HEARD ABOUT. ACTUALLY I  
23 REALLY VIEW THOSE AS FACULTY, AND THEY'RE REALLY  
24 CLEVER. AND THIS MIGHT BE A SPIN-OFF THAT ONE COULD  
25 THINK OF FOR CIRM. THAT IS, AS A SERIES. LAST ONE I

1 WENT TO WAS SPINAL CORD INJURY. SO THERE'S A THEME  
2 THAT RUNS THROUGH IT, BUT THE IDEA IS THE STUDENTS, A  
3 LOT OF YOUNG STUDENTS ARE THERE, AND THEY LISTEN. THEY  
4 HAVE TO COME UP WITH A GRANT PROPOSAL, LIKE AN RO 1 OR  
5 A CIRM GRANT, DURING THE FIVE-DAY MEETING. AT THE END  
6 THEY WRITE UP THE GRANT. THEY HAVE ACCESS TO THE  
7 INTERNET. THEY LOOK UP REFERENCES. THEY PUT TOGETHER  
8 A GRANT, A MINI GRANT, IN FIVE TEAMS, AND THEN THAT  
9 GOES TO STUDY SECTION, WHICH IS THE FACULTY THAT ARE  
10 TEACHING, AND THEY GET REVIEWED, AND THEY GET FEEDBACK.  
11 I WAS THINKING IF --

12 DR. HALL: WE'D ACTUALLY GIVE THEM MONEY.

13 DR. SVENDSEN: WE'D ACTUALLY GIVE THEM MONEY.  
14 SO WE CAN ACTUALLY HAVE IN ONE PACKAGE A GROUP OF  
15 JUNIOR SCIENTISTS GET TOGETHER AND TRY AND COME UP WITH  
16 THEMES, INTERACTIONS, AND COME UP WITH A GRANT IN FIVE  
17 DAYS. THAT'S A CHALLENGE.

18 MR. KLEIN: WHAT IS IT CALLED?

19 DR. SVENDSEN: ROUTE 28. IT'S ORGANIZED BY  
20 THEO PALMER AND PHIL HORNER, EX-GATES GUYS.

21 DR. STEINDLER: THIS YEAR IT'S ON AN ISLAND  
22 NEAR MUNICH.

23 DR. SVENDSEN: IT'S A MONASTERY.

24 DR. STEINDLER: IT'S A MONASTERY.

25 DR. SVENDSEN: IT'S A GREAT PLACE TO GO AND



1 NOT BE DISTRACTED. THAT'S SORT OF RADICAL, BUT I THINK  
2 IT WOULD BE FUN TO COME UP WITH SOME DIFFERENT THEMES.  
3 AND THAT'S THE CHALLENGE. IF YOU COME UP WITH A GOOD  
4 PROPOSAL IN SEVEN DAYS TO CIRM, MAYBE IT MIGHT BE  
5 FUNDED.

6 DR. KIMBLE: WE COULD GET OUR SCHOLARS TO GO  
7 TO THEM.

8 MS. DE LAURENTIS: WE ALWAYS DEVELOPED OUR  
9 RFA'S FROM SMALL THINK TANK MEETINGS. AND WE WOULD  
10 HAVE THEM IN A FABULOUS PLACE. NO ONE WAS EVER ALLOWED  
11 TO SHOW SLIDES. IT WAS SHORT TALKS. EVERYONE  
12 DISCUSSED EVERYTHING. YOU HAD GREAT MEALS, AND IT WAS  
13 FOR TWO AND A HALF DAYS, AND IT WAS JUST GREAT. PEOPLE  
14 FROM ALL DIFFERENT DISCIPLINES WOULD COME TOGETHER TO  
15 TALK ABOUT SPECIFIC PROBLEMS ABOUT PEDIATRIC AIDS, BUT  
16 IT WOULD CERTAINLY NOT BE PEDIATRIC AIDS RESEARCHERS  
17 ALL THE TIME.

18 CO-CHAIR SAMUELSON: MAYBE YOU COULD CONVENE  
19 THOSE FOR AN INTERNATIONAL GROUP THAT WOULD DESIGN AN  
20 RFA IN FIVE DAYS.

21 MR. CLAEYS: THAT'S HOW THE FOX FOUNDATION  
22 CAME UP WITH THEIR FIRST RFA'S WITH A SMALL GROUP.

23 DR. HALL: I'VE GOT IT. A STRATEGIC PLAN IN  
24 FIVE DAYS.

25 DR. SVENDSEN: YOU'RE NOT GETTING OUT OF IT.

1 CO-CHAIR SAMUELSON: GIVE THEM A WEEK. IT'S  
2 AN INTERESTING IDEA.

3 DR. HALL: JOAN, YOU STRUCK A CORD THERE. WE  
4 HAD MORE ANIMATED DISCUSSION ABOUT PEOPLE EXCITED ABOUT  
5 INTERESTING MEETINGS THEY HAVE BEEN TO. THAT'S GREAT.

6 CO-CHAIR SAMUELSON: WELL, THANK YOU ALL AND  
7 SEE YOU TOMORROW MORNING.

8 DR. HALL: THANKS PARTICULARLY TO THE EAST  
9 COASTERS FOR BEARING WITH US, AND WE'LL SEE YOU  
10 TOMORROW.

11 (THE MEETING WAS THEN CONCLUDED AT 09:28  
12 P.M.)

13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE SCIENTIFIC AND MEDICAL RESEARCH FUNDING WORKING GROUP OF THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE  
210 KING STREET  
SAN FRANCISCO, CALIFORNIA  
ON  
JULY 12, 2006

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152  
BARRISTER'S REPORTING SERVICE  
1072 S.E. BRISTOL STREET  
SUITE 100  
SANTA ANA HEIGHTS, CALIFORNIA  
(714) 444-4100