

BEFORE THE
SCIENTIFIC AND MEDICAL RESEARCH FUNDING WORKING GROUP
OF THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: 210 KING STREET
3D FLOOR
SAN FRANCISCO, CALIFORNIA

DATE: SEPTEMBER 19, 2007
10 A. M.

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO. : 79292

BARRISTERS' REPORTING SERVICE

I N D E X

ITEM	DESCRIPTION	PAGE NO.
CALL TO ORDER		3
ROLL CALL		3
WELCOME		6
NEW MEMBERS		9
RFA SCHEDULE		13
REPORT ON SCHOLARS MEETING		16
ES CELL INITIATIVE		19
UPDATE ON CURRENT CIRM INITIATIVES		
A. NEW FACULTY AWARDS		23
B. MAJOR FACILITIES GRANT PROGRAM		32
DI SEASE TEAM INITIATIVE		41
GRANTS ADMINISTRATION POLICY FOR FOR-PROFIT ORGANIZATIONS		83
PUBLIC COMMENT		97
ADJOURNMENT		100

BARRISTERS' REPORTING SERVICE

1 SAN FRANCISCO, CALIFORNIA; WEDNESDAY, SEPTEMBER 19, 2007

2 10 A.M.

3

4 DR. SAMBRANO: I THINK WE'RE MISSING JUST A FEW
5 PEOPLE, BUT WE SHOULD PROBABLY GO AHEAD AND GET STARTED.

6 THE FIRST REMINDER TO EVERYBODY IS THAT THIS MEETING IS A
7 PUBLIC MEETING. IT IS BEING TRANSCRIBED, AND SO IT'S

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

BARRISTERS' REPORTING SERVICE

1 I M P O R T A N T T H A T , B E C A U S E W E A R E D O I N G T H I S V I A
2 T E L E C O N F E R E N C E , T H A T E V E R Y O N E S T A T E T H E I R N A M E S O T H A T
3 T H E T R A N S C R I B E R K N O W S C L E A R L Y W H O I S S P E A K I N G A N D S O T H A T
4 E V E R Y B O D Y E L S E H E R E A L S O K N O W S W H O I S S P E A K I N G .

5 S O , D R . O R K I N , W H E N E V E R Y O U A R E R E A D Y .

6 C H A I R M A N O R K I N : I T H I N K I ' M R E A D Y . W E S H O U L D
7 C A L L T H E M E E T I N G T O O R D E R . A N D A L S O L I K E T O R E C O G N I Z E
8 T H E V I C E C H A I R , J O A N S A M U E L S O N . A R E Y O U T H E R E ?

9 M S . S A M U E L S O N : Y E S . H I , S T U .

10 C H A I R M A N O R K I N : H I , J O A N . O K A Y . I T H I N K I ' L L
11 H A N D I T O V E R T O G I L F O R T H E R O L L C A L L .

12 D R . S A M B R A N O : S T A R T I N G O F F W I T H T H E R O L L C A L L .
13 S T U O R K I N .

14 D R . O R K I N : H E R E .

15 D R . S A M B R A N O : J O A N S A M U E L S O N .

16 M S . S A M U E L S O N : H E R E .

17 D R . S A M B R A N O : R O B E R T K L E I N .

18 M R . K L E I N : H E R E .

19 D R . S A M B R A N O : S U S A N B O N N E R - W E I R .

20 D R . B O N N E R - W E I R : H E R E .

21 D R . S A M B R A N O : A L I B R I V A N L O U .

22 D R . B R I V A N L O U : H E R E .

23 D R . S A M B R A N O : J E F F B U L T E I S N O T H E R E Y E T .

24 M A R I E C S E T E .

25 D R . C S E T E : H E R E .

BARRISTERS' REPORTING SERVICE

1 DR. SAMBRANO: WHO JUST JOINED, PLEASE?

2 UNIDENTIFIED SPEAKER: HELLO. I'M CALLING FOR
3 MR. ROBERT KLEIN, WHO IS JOINING.

4 MR. KLEIN: I'M JUST CHANGING FROM A CELL PHONE
5 TO A HARD LINE.

6 DR. SAMBRANO: MARCY FEIT. ALEX JOYNER.

7 DR. JOYNER: HERE.

8 DR. SAMBRANO: JUDITH KIMBLE.

9 DR. KIMBLE: HERE.

10 DR. SAMBRANO: JEFF SHEEHY. JOHN WAGNER, I
11 THINK, WILL JOIN IN ABOUT AN HOUR'S TIME. DAVID
12 WILLIAMS.

13 DR. WILLIAMS: HERE.

14 DR. SAMBRANO: JANET WRIGHT. WISE YOUNG.
15 OKAY. SO THAT'S THE ROLL CALL.

16 I ALSO WANT TO MAKE SURE EVERYBODY KNOWS WHO IS
17 HERE IN SAN FRANCISCO LIVE. WHO JUST JOINED? DID
18 SOMEBODY JUST JOIN?

19 DR. WRIGHT: JANET WRIGHT.

20 DR. SAMBRANO: HI, JANET. THANK YOU.

21 DR. WRIGHT: GOOD MORNING.

22 DR. SAMBRANO: SO HERE IN SAN FRANCISCO AT
23 CIRM, WE HAVE SEVERAL CIRM STAFF AND MEMBERS OF THE
24 PUBLIC. WE HAVE DR. RICHARD MURPHY, ARLENE CHIU. WE
25 HAVE KUMAR HARI AND BETTINA STEFFEN, BOTH SCIENTIFIC

BARRISTERS' REPORTING SERVICE

1 OFFICERS. WE HAVE TRICIA CHAVIRA, WHO WILL BE HANDLING
2 THE WEBCAST, AND SO SHE IS HERE. BETH DRAIN, WHO IS OUR
3 TRANSCRIBER, MAYBEL CORTEZ, AND DALE CARLSON, LYNN
4 HARWELL, AND WE HAVE RICK KELLER AND TWO MEMBERS OF THE
5 PUBLIC, ONE WHO IS AN ICOC BOARD MEMBER, DR. OS STEWARD.
6 AND IF YOU COULD IDENTIFY YOURSELF.

7 MS. HOFSTEADER: I'M MARA HOFSTEADER. I'M THE
8 DIRECTOR OF EDUCATION AND SCIENTIFIC LIAISON FOR THE
9 IRVINE RESEARCH CENTER AND FOR THE STEM CELL CENTER AT
10 UNIVERSITY OF CALIFORNIA IRVINE.

11 DR. SAMBRANO: TERRIFIC. AND THEN JUST ONE
12 MORE REMINDER BEFORE WE MOVE FORWARD. AGAIN, BECAUSE WE
13 ARE IN A TELECONFERENCE PHONE CALL, IT'S IMPORTANT THAT
14 YOU MUTE WHEN YOU ARE NOT SPEAKING WHENEVER POSSIBLE.
15 AND ALSO PLEASE DO NOT PUT US ON HOLD. OFTENTIMES GREAT,
16 WONDERFUL MUSIC COMES TO OUR EARS WHEN THAT HAPPENS, BUT
17 IT CAUSES US TO HAVE TO ALL HANG UP AND DIAL IN AGAIN.
18 SO NO HOLD AND MUTE WHEN POSSIBLE.

19 MS. SAMUELSON: ONE MORE THING IF YOU COULD.
20 WHEN PEOPLE IN THE ROOM THERE SPEAK, IF YOU COULD TRY TO
21 HAVE THEM REMEMBER TO GET CLOSE TO A MIC.

22 DR. SAMBRANO: THANK YOU, JOAN. VERY GOOD
23 POINT.

24 OKAY. STU, I THINK WE'RE READY TO GO TO THE
25 NEXT ITEM.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN ORKIN: OKAY. I GUESS I DON'T HAVE
2 MUCH TO SAY IN WELCOME EXCEPT I WANT TO THANK EVERYBODY
3 FOR PARTICIPATING IN THIS MEETING BECAUSE I THINK IT'S
4 IMPORTANT TO CIRM. AND I GUESS, RICH MURPHY, IF YOU'RE
5 THERE, YOU HAVE A FEW MINUTES.

6 DR. MURPHY: I DO, STU. I'M THERE AND
7 SCHEDULED FOR 15 MINUTES, AND I WILL MAKE IT FIVE.

8 I AM CURRENTLY SERVING IN A SIX-MONTH TERM AS
9 THE INTERIM PRESIDENT OF CIRM. I WAS ON THE ICOC FROM
10 ITS BEGINNING WHILE I WAS THE PRESIDENT OF THE SALK
11 INSTITUTE, AND I RETIRED FROM THAT POSITION AS OF JULY 1
12 WITH THE INTENTION OF MOVING BACK TO BOSTON. AND AS I
13 WAS CLEANING OUT THE GARAGE, THE PHONE RANG AND IT WAS
14 BOB KLEIN SAYING, "WOULD YOU SERVE AS INTERIM PRESIDENT
15 UNTIL WE FIND A NEW PRESIDENT FOR THE INSTITUTE?" AND I
16 THOUGHT THAT WOULD BE A GREAT IDEA BECAUSE IT'S AN
17 INTERESTING TIME IN CIRM'S DEVELOPMENT.

18 AS YOU ALL KNOW, THE LEGAL HURDLES HAVE BEEN
19 OVERCOME. WE ARE NOW CAPABLE OF GOING OUT AND SEEKING
20 BOND FINANCING, WHICH BOB IS DOING, TO THE TUNE OF \$250
21 MILLION, WHICH HOPEFULLY WILL BE AVAILABLE VERY SOON TO
22 FUND OUR PROGRAMS. SO CIRM IS REALLY READY TO SPROUT ITS
23 WINGS AND TO DO ALL THE THINGS THAT WE HOPE IT CAN DO.

24 MY GOALS IN BEING HERE FOR THIS SHORT TIME WERE
25 REALLY TO ENSURE THAT THE PROGRAMS CONTINUE TO MOVE

BARRISTERS' REPORTING SERVICE

1 FORWARD; AND, OF COURSE, IT MAKES IT MUCH EASIER WITH THE
2 MONEY BEING AVAILABLE TO US. TO LOOK AT KIND OF THE
3 ORGANIZATION OF CIRM TO BE SURE IT'S AS EFFICIENT AND
4 SMOOTH RUNNING AS IT COULD BE, AND REALLY TO TEE UP THE
5 INSTITUTE FOR THE NEXT PERMANENT PRESIDENT, WHO WE
6 THOUGHT WE WOULD BE ABLE TO IDENTIFY OVER THE NEXT SIX
7 MONTHS.

8 I BEGAN ON SEPTEMBER 6TH AND WORKED SEPTEMBER
9 6TH AND 7TH, AND THEN LAST WEEK I WAS IN CANADA. BY THE
10 TIME I CAME BACK, A NEW PRESIDENT HAD BEEN SELECTED. I
11 WILL TAKE CREDIT FOR ALL OF THAT. AND, OF COURSE, THE
12 NEW PRESIDENT IS ALAN TROUNSON FROM MONASH UNIVERSITY IN
13 MELBOURNE. AND ALAN WAS WITH US THESE PAST MONDAY AND
14 TUESDAY, AND I THINK EVERYONE IS VERY EXCITED. HE'S AN
15 IDEAL CANDIDATE TO BE RUNNING THE INSTITUTE.

16 HE'S A STEM CELL SCIENTIST. HE WAS IN THE
17 FIELD AT THE VERY BEGINNING. HE IS A PERSON WHO HAS A
18 GOOD SENSE OF GETTING THINGS FROM THE BENCH TO THE
19 BEDSIDE. HE'S BEEN INVOLVED IN BUSINESS OPPORTUNITIES,
20 STARTED SEVERAL COMPANIES. HE'S WORKED VERY CLOSELY WITH
21 GOVERNMENT, WHICH HAS NOT ALWAYS BEEN EASY IN AUSTRALIA,
22 AND ALSO IN SINGAPORE, AND HE'S A REALLY GOOD GUY, FOR
23 THOSE OF YOU WHO HAVE NOT MET HIM. I THINK HE'S GOING TO
24 BE AN IDEAL PRESIDENT FOR THE INSTITUTE.

25 SO MY INTENTION IS TO BE HERE AT LEAST UNTIL

BARRISTERS' REPORTING SERVICE

1 ALAN COMES; AND THEN IF ALAN NEEDS ME TO HELP IN THE
2 TRANSITION, I'M MORE THAN HAPPY TO STAY UP TO THE
3 SIX-MONTH PERIOD. SO IT'S AN EXCITING TIME.

4 I ALSO WANT TO THANK THE COMMITTEE, STU, AND
5 ALL OF THE PEOPLE THAT WORK FOR CIRM. WE REALIZE THAT
6 THE INSTITUTE HAS PUT YOU THROUGH SOME MAJOR HOOPS IN THE
7 SEED COMPETITION AND THE COMPREHENSIVES. AND I THINK
8 CERTAINLY IN CALIFORNIA THE FEELING IS THAT THE PEER
9 REVIEW HAS BEEN NOTHING SHORT OF MAGNIFICENT. AND THAT
10 ONLY HAPPENED BECAUSE OF ALL THE EFFORT THAT ALL OF YOU
11 HAVE PUT IN TOGETHER WITH THE EFFORTS OF THE CIRM STAFF,
12 WHICH HAVE BEEN REMARKABLE.

13 SO I THANK YOU ON BEHALF OF CERTAINLY THE ICOC,
14 ON BEHALF OF THE CIRM STAFF FOR ALL THAT YOU'VE DONE,
15 WHICH IS ALSO MY WAY OF ASKING YOU TO CONTINUE. AT THIS
16 TIME, ESPECIALLY AS WE HAVE SOME NEW PROGRAMS THAT ARE
17 READY TO GO, WE WILL NEED YOUR CONTINUED GUIDANCE AND
18 ENERGY AND COMMITMENT. AND ON BEHALF OF EVERYONE, I WANT
19 TO THANK YOU FOR DOING IT AND THANK YOU FOR CONTINUING
20 WITH US. SO, STU, THAT'S WHAT I HAVE TO SAY.

21 CHAIRMAN ORKIN: THANK YOU VERY MUCH. I THANK
22 YOU FOR THE KIND WORDS ABOUT THE COMMITTEE. ALSO I'D
23 LIKE TO CONGRATULATE YOU AND BOB KLEIN ON A GREAT
24 SELECTION AS A PRESIDENT.

25 DR. MURPHY: THANK YOU.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN ORKIN: I GUESS, GIL, WE HAVE SOME NEW
2 MEMBERS, DISCUSSION OF THAT.

3 DR. SAMBRANO: YES. I WILL GET TO THAT. I
4 THINK WE HAD A COUPLE OF PEOPLE WHO MAY HAVE JOINED THE
5 CALL. IF YOU COULD PLEASE IDENTIFY YOURSELVES.

6 MR. SHEEHY: JEFF SHEEHY AND I'M ON MY WAY DOWN
7 THERE, BUT I WAS HELD UP WITH THE TAXI. I'M ON.

8 DR. SAMBRANO: THANK YOU, JEFF. WAS THERE
9 SOMEBODY ELSE?

10 MS. BECKER: PAT BECKER.

11 DR. SAMBRANO: OKAY. THANK YOU. SO, YES, WE
12 HAVE HAD SOME RECENT ACTIVITY, AND SO I WANT TO JUST
13 REPORT ON THE SCIENTIFIC MEMBERSHIP OF OUR GRANTS WORKING
14 GROUP. AND, AS YOU KNOW, OUR GRANTS WORKING GROUP, IN
15 ADDITION TO THE PATIENT ADVOCATE MEMBERS, CONSISTS OF 15
16 SCIENTISTS FROM OUTSIDE OF CALIFORNIA THAT ARE APPOINTED
17 BY THE ICOC. IN ADDITION TO THESE REGULAR MEMBERS, THE
18 GRANTS WORKING GROUP ALSO HAS SEVERAL ALTERNATE
19 SCIENTIFIC MEMBERS, ALSO APPOINTED BY THE ICOC, WHO ADD
20 TO THE BREADTH OF EXPERTISE OF OUR GROUP.

21 WHEN SERVING IN A REVIEW MEETING OR A POLICY
22 MEETING, REGULAR AND ALTERNATE MEMBERS HAVE THE SAME
23 ROLES AND RESPONSIBILITIES AND ARE SUBJECT TO THE SAME
24 POLICIES AND DISCLOSURES. IF A REGULAR MEMBER RESIGNS
25 HIS OR HER POST, THE ICOC MAY APPOINT AN ALTERNATE MEMBER

BARRISTERS' REPORTING SERVICE

1 TO THAT POSITION.

2 SO I WANT TO REPORT TO YOU THAT UNFORTUNATELY
3 DR. ANDREW FEINBERG RECENTLY RESIGNED HIS POST. AND SO
4 ON AUGUST 8TH, THE ICOC APPOINTED DR. MARIE CSETE FROM
5 EMORY UNIVERSITY AS A REGULAR MEMBER. SO WE WANT TO
6 CONGRATULATE HER ON THAT APPOINTMENT. AND SHE IS JOINING
7 US HERE BY TELECONFERENCE.

8 DR. CSETE: THANK YOU.

9 DR. SAMBRANO: IN ADDITION, THE ICOC APPOINTED
10 DR. IAN DUNCAN FROM THE UNIVERSITY OF WISCONSIN AS A
11 FIRST ALTERNATE, WHICH MEANS IF ANOTHER REGULAR MEMBER
12 HAPPENS TO RESIGN THEIR POST, DR. DUNCAN WILL BE FIRST IN
13 LINE TO TAKE THAT POSITION.

14 I ALSO WANT TO REPORT ON FIVE NEW ALTERNATE
15 MEMBERS THAT THE ICOC APPOINTED. THIS, AGAIN, IN AUGUST.
16 THE FIRST IS DR. RICHARD GOODMAN, AND I WILL JUST GIVE
17 YOU A VERY BRIEF, TWO-SENTENCE DESCRIPTION TO GIVE YOU AN
18 IDEA OF WHO THOSE FOLKS ARE. DR. GOODMAN IS A MEMBER OF
19 THE NATIONAL ACADEMY OF SCIENCES AND THE INSTITUTE OF
20 MEDICINE, A PROFESSOR OF CELL AND DEVELOPMENTAL BIOLOGY
21 AT OREGON HEALTH AND SCIENCE UNIVERSITY. HE SERVES AS
22 THE DIRECTOR OF THE BALDWIN INSTITUTE, WHICH IS A
23 PRIVATELY OWNED RESEARCH UNIT OF THE OREGON HEALTH AND
24 SCIENCE UNIVERSITY THAT SUPPORTS BASIC RESEARCH AND
25 TRAINING PROGRAMS IN AREAS SUCH AS NEUROBIOLOGY, HEARING,

BARRISTERS' REPORTING SERVICE

1 AND GENE EXPRESSION.

2 WE ALSO HAVE DR. SHELLY HEIMFELD, WHO IS AN
3 ASSOCIATE FACULTY MEMBER AT THE FRED HUTCHINSON CANCER
4 CENTER IN SEATTLE, WASHINGTON, AND IS DIRECTOR OF THE
5 CELLULAR THERAPY LABORATORY AND GMP CELL PROCESSING
6 FACILITY. HE CURRENTLY SERVES AS PRESIDENT ELECT FOR THE
7 INTERNATIONAL SOCIETY OF CELLULAR THERAPY AND IS ON THE
8 BOARD OF DIRECTORS FOR THE FOUNDATION FOR THE
9 ACCREDITATION OF CELLULAR THERAPY.

10 THE THIRD MEMBER IS DR. MICHAEL D. SCHNEIDER,
11 WHO JUST HAS RECENTLY ASSUMED THE ROLE OF HEAD OF
12 CARDIOVASCULAR SCIENCE AND PROFESSOR OF CARDIOLOGY AT THE
13 NATIONAL HEART AND LUNG INSTITUTE OF THE IMPERIAL COLLEGE
14 IN LONDON. PRIOR TO THIS TRANSITION, HE WAS AT THE M. D.
15 ANDERSON FOUNDATION CHAIR AT BAYLOR COLLEGE OF MEDICINE
16 AND SERVED AS DIRECTOR OF THE CENTER FOR CARDIOVASCULAR
17 DEVELOPMENT THERE.

18 THE FOURTH IS DR. JOHN R. SLADEK, WHO HAS
19 RECENTLY RETURNED TO THE UNIVERSITY OF COLORADO AS A
20 PROFESSOR OF PEDIATRICS AND NEUROSCIENCE. HE PREVIOUSLY
21 SERVED AS PRESIDENT AND CEO FOR THE CALIFORNIA LUTHERAN
22 UNIVERSITY AND ALSO PREVIOUSLY SERVED AS VICE CHANCELLOR
23 OF RESEARCH AND PROFESSOR OF PSYCHIATRY IN NEUROSCIENCE
24 AT THE UNIVERSITY OF COLORADO AT DENVER HEALTH SCIENCE
25 CENTER.

BARRISTERS' REPORTING SERVICE

1 AND THE LAST MEMBER IS DR. THOMAS P. ZWAKA, WHO
2 IS AN ASSISTANT PROFESSOR IN THE DEPARTMENT OF MOLECULAR
3 AND CELLULAR BIOLOGY AND THE CENTER FOR CELL AND GENE
4 THERAPY AT BAYLOR COLLEGE OF MEDICINE. ALSO SERVES AS
5 DIRECTOR OF THE BAYLOR EMBRYONIC STEM CELL CORE AND WAS
6 ONE OF THE FOUNDERS OF THE STEM CELL AND REGENERATIVE
7 MEDICINE CENTER THERE.

8 SO I WANT TO CONGRATULATE THOSE NEW MEMBERS.
9 DR. ORKIN, I TURN IT BACK TO YOU.

10 CHAIRMAN ORKIN: ONE QUESTION THAT MAY RAISE,
11 GIL, IS WITH THE INCREASED -- NOW WITH THE MONEY
12 AVAILABLE AND INCREASED ACTIVITY IN TERMS OF PROGRAMS,
13 ARE THERE ENOUGH MEMBERS OF THE GRANTS WORKING GROUP EVEN
14 WITH THE ALTERNATES? HOW DO YOU PLAN ON ADDRESSING THIS
15 GOING FORWARD?

16 DR. SAMBRANO: I THINK WE NEED CERTAINLY YOUR
17 CONTINUED HELP IN RECRUITING ADDITIONAL MEMBERS. I THINK
18 YOU'RE RIGHT. IT'S VERY IMPORTANT TO HAVE A BROAD
19 EXPERTISE AS WE MOVE FORWARD WITH RFA'S THAT WILL
20 ENCOMPASS VERY DIFFICULT AREAS OF SCIENCE. SO ANY
21 CONTRIBUTIONS THAT OUR WORKING GROUP MEMBERS CAN GIVE TO
22 US IN TERMS OF POTENTIAL ALTERNATE MEMBERS AND REVIEWERS
23 IS GREATLY APPRECIATED. SO I THINK THIS WILL BE A
24 CONTINUING AND EVOLVING PROCESS.

25 CHAIRMAN ORKIN: THANK YOU. ARLENE, WILL YOU

BARRISTERS' REPORTING SERVICE

1 DISCUSS THE RFA SCHEDULE?

2 DR. CHIU: THE NEXT ITEM, I BELIEVE WE'D LIKE
3 TO PRESENT TO EVERYONE AN UPDATE ON PAST CIRM
4 INITIATIVES. I'M SORRY. I'M MISSING THE ELEMENT. THE
5 RFA SCHEDULE. THANK YOU SO MUCH.

6 I JUST WANTED TO MENTION THAT BECAUSE WE NOW
7 HAVE SOLID -- LOOK FORWARD TO SOLID FUNDING, WE CAN PLAN
8 A LITTLE BIT BETTER UPCOMING INITIATIVES AND A BETTER
9 TIMETABLE, AND WE'RE WORKING ON THAT. WITHOUT GOING INTO
10 VERY MUCH MORE DETAIL, BECAUSE ALL THIS HAS TO BE
11 APPROVED BY THE ICOC, I JUST WANT TO LET THE GRANTS
12 WORKING GROUP KNOW THAT WE HAVE A PRELIMINARY PLAN THAT
13 STAGGERS THE NUMBER OF MEETINGS AND SETS MUCH FIRMER
14 DATES ON WHEN REVIEW MEETINGS WILL TAKE PLACE SO THAT WE
15 CAN PRESENT TO ALL OF YOU THE INITIATIVE IDEAS, THE
16 CONCEPTS, AND DATES, AND WE CAN GET YOUR AVAILABILITY,
17 AND WE CAN BETTER PREPARE FOR UPCOMING REVIEWS FOR 2008
18 AND HOPEFULLY INTO 2009.

19 I KNOW THAT YOU'VE BEEN VERY GENEROUS WITH US.
20 EVERY TIME WE COME UP WITH A NEW RFA, WE START ASKING FOR
21 YOUR AVAILABILITY WITH RATHER SHORT NOTICE, AND WE PLAN
22 TO CHANGE THAT APPROACH SOON. AND THAT BASICALLY WAS THE
23 UPDATE. IF THERE ARE ANY QUESTIONS, I'D BE HAPPY TO
24 ENTERTAIN THEM.

25 MS. SAMUELSON: ARLENE, THIS IS JOAN. TO ADD

BARRISTERS' REPORTING SERVICE

1 TO THAT, ON BEHALF OF THE ICOC, AS A MEMBER OF IT, IT
2 WOULD BE GREAT FOR ANY WORKING GROUP MEMBER TO PASS ALONG
3 ANY FEEDBACK YOU MIGHT HAVE AS TO WHAT HAS WORKED WITH
4 THE SYSTEM AND WHAT HAS BEEN BURDENSOME. IN MOST CASES,
5 PROBABLY MAYBE ALL, I'D SAY WE'RE ACUTELY AWARE OF THOSE
6 THINGS NEEDED TO PUSH THE BALL AHEAD WITH LIMITED MONEY.
7 BUT GIVEN THOSE OBSTACLES ARE OUT OF THE WAY, WE WANT TO
8 DO IT RIGHT. SO IF THERE'S ANYTHING WE'RE NOT THINKING
9 OF, WE'D LOVE YOUR INPUT.

10 DR. CHIU: THAT'S EXACTLY RIGHT, JOAN. WE
11 WOULD LIKE TO HEAR FROM ALL WORKING GROUP MEMBERS OF
12 IDEAS OF HOW TO MAKE THIS PROCESS MOVE BETTER. WE ALSO,
13 AS IN, I THINK, ONE PAST MEETING, WE WOULD LIKE TO, IF
14 POSSIBLE, HAVE SORT OF INFORMAL LUNCHEON DISCUSSIONS WITH
15 WORKING GROUP MEMBERS WHO ARE PRESENT AT A REVIEW TO
16 BRAINSTORM AND CRITIQUE THE REVIEW PROCESS CERTAINLY FROM
17 YOUR POINT OF VIEW OF HOW WE CAN MAKE THE WORKINGS OF IT
18 LESS ONEROUS AND SMOOTHER AND TO ACCOMMODATE YOU ALL
19 BETTER.

20 SO PLEASE THINK ABOUT IT AND SEND US, GIL OR
21 MYSELF, E-MAILS IF YOU HAVE ANY THOUGHTS ABOUT HOW TO
22 MAKE THIS WORK BETTER FOR ALL OF YOU.

23 MR. KLEIN: ARLENE, THIS IS BOB KLEIN. I THINK
24 THAT IF DR. MURPHY IS STILL THERE, THERE WERE -- IN THE
25 PAST THERE WAS SOME QUESTION WHERE OUR TRAVEL

BARRISTERS' REPORTING SERVICE

1 ARRANGEMENTS WERE BEING MADE, AND THERE WAS TOO MUCH OF A
2 FOCUS ON WHETHER THERE COULD BE MONEY SAVED BY INDIRECT
3 FLIGHTS BECAUSE OF STATE TRAVEL PREFERENCES AND STATE
4 REGULATIONS. AND WE HAVE THE ABILITY AND HAVE TO
5 RECOGNIZE THE ABILITY TO MAKE SURE WE HAVE DIRECT FLIGHT
6 BOOKINGS FOR EVERYONE SO THAT TIME, WHICH IS CRITICAL TO
7 EVERY ONE OF THE REVIEWERS IN THE LOGISTICS PROCESS OF
8 COMING TO MEETINGS, IS MINIMIZED AND DIRECT FLIGHTS ARE
9 ROUTINELY BOOKED WITHOUT UNNECESSARY TRANSFERS.

10 DR. MURPHY: I MEAN THAT ONLY MAKES SENSE, BOB.
11 FOR THE TALENT WE HAVE, TO BE SHUTTling THEM OFF TO SMALL
12 AIRPORTS DOESN'T MAKE SENSE. SO WE WILL LOOK INTO WHAT
13 WE CAN DO IN THAT, BUT OBVIOUSLY WE ALL AGREE WITH WHAT
14 YOU'VE JUST SAID.

15 CHAIRMAN ORKIN: THANK YOU, EVERYONE AND
16 ARLENE. I THINK HAVING BETTER LEAD-TIME FOR THE MEETINGS
17 WILL BE OF GREAT ASSISTANCE, I THINK, IN PLANNING AHEAD.

18 NEXT WE HAVE GIL WILL DISCUSS, FIRST, ONE OF
19 THE PAST INITIATIVES AND THE SCHOLARS MEETING.

20 DR. SAMBRANO: YES. I THINK ALSO SOMEBODY MAY
21 HAVE JOINED THE CALL. IF THEY COULD IDENTIFY THEMSELVES,
22 PLEASE. DID SOMEBODY JUST JOIN? OKAY. DID SOMEBODY
23 JUST JOIN NOW?

24 DR. BULTE: I'M SORRY. IT'S JEFF BULTE, JOHN
25 HOPKINS UNIVERSITY.

BARRISTERS' REPORTING SERVICE

1 DR. SAMBRANO: THANK YOU FOR CALLING IN.

2 SO I'M GOING TO GIVE A BRIEF REPORT ON THE
3 TRAINING PROGRAM AND THE SCHOLARS MEETING WHICH WE JUST
4 HAD. AND THIS IS THE FIRST OF THE PASSED CIRM
5 INITIATIVES THAT BOTH ARLENE AND I WILL DISCUSS.

6 SO WITH THE TRAINING PROGRAM, IN APRIL OF 2006,
7 THE CIRM AWARDED TRAINING GRANTS TO 16 CALIFORNIA
8 NONPROFIT AND ACADEMIC INSTITUTIONS WITH THE INTENT TO
9 FOSTER TRAINING OF PREDOCTORAL, POSTDOCTORAL, AND
10 CLINICAL FELLOWS IN THE AREA OF STEM CELL RESEARCH. THIS
11 WAS THE FIRST INITIATIVE OF THE CIRM. IT WAS THE FIRST
12 REVIEW FOR THIS GRANTS WORKING GROUP. IT WAS ALSO THE
13 FIRST APPROVAL AND AWARD BY THE ICOC. SO IT WAS A NUMBER
14 OF FIRSTS FOR US.

15 EACH INSTITUTION THAT WAS SELECTED BRINGS
16 UNIQUE STRENGTHS IN AREAS OF SPECIALIZATION IN STEM CELL
17 RESEARCH AND TO THE TRAINING. AND OVERALL THE PROGRAM
18 SUPPORTS UP TO 170 TRAINEES. AND THIS MONTH WE ARE
19 HOLDING THE FIRST ANNUAL CIRM SCHOLARS MEETING TO SEE
20 THESE TRAINEES. THE PURPOSE IS TO OFFER THE CIRM
21 SCHOLARS AND THE TRAINEES THE OPPORTUNITY TO PRESENT
22 THEIR PRELIMINARY DATA, TO ENGAGE IN SCIENTIFIC
23 DISCUSSION, AND EXCHANGE IDEAS. IT'S AN OCCASION TO MEET
24 WITH THEIR PEERS AND MENTORS ACROSS DIFFERENT
25 INSTITUTIONS, TO SHARE AND DISCOVER WHAT OTHERS ARE

BARRISTERS' REPORTING SERVICE

1 WORKING ON AS WELL.

2 AND, OF COURSE, FOR THE CIRM, IT'S AN
3 OPPORTUNITY TO SEE FIRSTHAND THE PRODUCT OF OUR TRAINING
4 PROGRAM AND OUR FIRST RFA. AND BECAUSE WE HAVE SUCH A
5 LARGE NUMBER OF TRAINEES AND ASSOCIATED MENTORS AND
6 PROGRAM DIRECTORS, WE HAVE SPLIT THE MEETING INTO TWO.
7 WE HAVE A NORTHERN CALIFORNIA MEETING AND A SOUTHERN
8 CALIFORNIA MEETING. THE NORTHERN COHORT ALREADY MET ON
9 SEPTEMBER 11TH, AND THE SOUTHERN COHORT WILL MEET NEXT
10 WEEK ON SEPTEMBER 28TH.

11 BOTH MEETINGS ARE ESSENTIALLY FOLLOWING A
12 SIMILAR AGENDA. THEY FEATURE SHORT TALKS WHICH ARE GIVEN
13 BY ONE SELECTED TRAINEE FROM EACH INSTITUTION THAT'S
14 REPRESENTED AT THE MEETING. THERE IS A POSTER SESSION TO
15 DISPLAY AND DISCUSS RESEARCH ON A ONE-TO-ONE BASIS. AND
16 OVERALL WE GOT 115 ABSTRACTS OVER BOTH MEETINGS, SO WE
17 GOT A VERY GOOD RESPONSE AND INTEREST.

18 AND, IN ADDITION, WE HAVE ONE FINAL ELEMENT,
19 SCHOLARS LEADING AND PARTICIPATING IN DISCUSSION GROUPS
20 ON SPECIFIC TOPICS, SUCH AS TRANSLATIONAL CHALLENGES,
21 DIRECTED DIFFERENTIATION, AND CAREER TRANSITIONS. AND
22 THESE ARE RATHER INFORMAL. IT ALLOWS THEM TO LEAD A
23 SMALL GROUP. AND BASED ON WHAT WE HAVE OBSERVED DURING
24 THE FIRST MEETING ON SEPTEMBER 11TH, IT WAS ACTUALLY
25 QUITE IMPRESSIVE. THEY WERE VERY ENTHUSIASTIC IN THEIR

BARRISTERS' REPORTING SERVICE

1 PARTICIPATION, AND I THINK THE QUALITY OF THE TALKS AND
2 POSTERS WERE CERTAINLY FIRST-RATE.

3 SO OUR GOAL OVERALL WITH THESE MEETINGS AND THE
4 TRAINING PROGRAM IS TO DEVELOP A NETWORK AND A COMMUNITY
5 OF SCIENTISTS IN STEM CELL RESEARCH IN CALIFORNIA. AND I
6 THINK THIS WAS A VERY GOOD FIRST STEP.

7 SO I GUESS -- AND WE ALSO, AS ARLENE IS
8 REMINDING ME, WE PUBLISH AN ANNUAL CIRM SCHOLARS ABSTRACT
9 BOOK WHICH HAS ALL 115 ABSTRACTS AND GIVES A LITTLE BIT
10 OF BACKGROUND ON THE MEETING AND PROGRAMS. SO IF ANY OF
11 YOU ARE INTERESTED IN SEEING IT, PLEASE LET ME KNOW AND
12 I'D BE HAPPY TO SEND THAT TO YOU.

13 MR. KLEIN: GIL, THIS IS BOB KLEIN. YOU MIGHT
14 WANT TO COMMENT THAT AS THESE SCHOLARS, A FEW OF WHOM
15 HAVE ACTUALLY NOW BEEN ON THIS FOR MORE THAN A YEAR OR A
16 FULL YEAR, BEGIN TO PUBLISH THEIR PAPERS, I THINK IT'S
17 ABOUT THREE WEEKS AGO NOW ONE OF THESE SCHOLARS, WHO IS A
18 DOCTORAL STUDENT AT UC SAN FRANCISCO, PUBLISHED A PAPER
19 IN *NATURE* AND WAS ON THE COVER OF *NATURE*; IS THAT
20 CORRECT?

21 DR. SAMBRANO: YES, THAT'S CORRECT. SO WE ARE
22 FORTUNATE TO HEAR EVERY ONCE IN A WHILE FEATURED ARTICLES
23 FROM OUR CIRM SCHOLARS. SO IT'S GREAT TO SEE THAT THEY
24 ARE ACTIVE AND PARTICIPATING, AND WE CERTAINLY ENCOURAGE
25 THEM TO LET US KNOW HOW THEY ARE DOING. CERTAINLY AFTER

BARRISTERS' REPORTING SERVICE

1 A YEAR'S TIME, WE'RE CLEARLY SEEING A LOT OF
2 PARTICIPATION AND A LOT OF RESEARCH IN STEM CELL BIOLOGY
3 THAT IS HAPPENING NOW.

4 CHAIRMAN ORKIN: THANK YOU, GIL. ANY OTHER
5 COMMENTS? IF NOT, ARLENE, I THINK YOU'RE UP FOR TELLING
6 US ABOUT THE HUMAN ES CELL RESEARCH INITIATIVE.

7 DR. CHIU: RIGHT. JUST AS A REMINDER, CIRM
8 CAME INTO BEING IN LARGE PART BECAUSE CALIFORNIANS
9 UNDERSTOOD, FIRST, THAT HUMAN EMBRYONIC STEM CELL
10 RESEARCH IS UNDERFUNDED IN THE UNITED STATES, AND NEW
11 IDEAS CANNOT BEAR FRUIT WITHOUT FUNDING THE RESEARCH.

12 AND, ALSO, THAT NEW INVESTIGATORS WERE KIND OF
13 AFRAID, YOU MIGHT SAY, TO COME INTO SUCH A CONTROVERSIAL
14 FIELD WITHOUT MUCH SUPPORT BEHIND IT. THE RESTRICTIONS
15 IN THE FEDERAL POLICY CAUSED LABS AND EQUIPMENT FUNDED BY
16 THE FEDERAL GOVERNMENT TO NOT BE AVAILABLE TO WORK ON
17 LINES THAT WERE NOT APPROVED BY THE PRESIDENT. AND THE
18 NUMBERS OF THESE UNAPPROVED, BRAND NEW, NOVEL LINES
19 DERIVED WITH NEW TECHNOLOGY ARE GOING UP DAILY.

20 SO TO ADDRESS THESE NEEDS, LAST YEAR THE CIRM
21 EMBARKED ON AN INITIATIVE TO MEET ALL THESE NEEDS OF THE
22 EMBRYONIC STEM CELL FIELD BY JUMP-STARTING HUMAN
23 EMBRYONIC STEM CELL RESEARCH IN CALIFORNIA. AND THE
24 INITIATIVE THAT WAS LAUNCHED CONSISTED OF THREE NEW RFA'S
25 THAT MANY OF YOU ARE FAMILIAR WITH BECAUSE YOU MIGHT HAVE

BARRISTERS' REPORTING SERVICE

1 REVIEWED ONE OR MORE OF THE APPLICATIONS COMING FROM
2 THESE RFA'S IN THE PAST FEW MONTHS. BUT I'LL PROVIDE A
3 VERY BRIEF UPDATE OF WHAT HAPPENED AFTER THE WORK OF THE
4 GRANTS WORKING GROUP.

5 SO THE THREE RFA'S CONSISTED OF THE FIRST ONE
6 BEING ONE FOR A SEED GRANT PROGRAM, THE LEON THAL SEED
7 GRANT PROGRAM, NAMED AFTER ONE OF OUR BOARD MEMBERS WHO
8 DIED UNTIMELY DUE TO AN AIRPLANE ACCIDENT THIS YEAR.

9 THE IDEA OF THE SEED GRANT PROGRAM WAS TO
10 INVITE NEW IDEAS AND APPROACHES SUCH AS PILOT STUDIES TO
11 INVITE INVESTIGATORS NEW TO THE STEM CELL FIELD INTO THIS
12 AREA OF RESEARCH AND TO BRING IN NEWLY INDEPENDENT YOUNG
13 INVESTIGATORS. WE RECEIVED OVER 300 LETTERS OF INTENT TO
14 THIS INITIATIVE, AS SOME OF YOU MAY GROANINGLY REMEMBER,
15 AND 231 COMPLETE APPLICATIONS. AND THE GRANTS WORKING
16 GROUP WENT THROUGH A MARATHON SESSION IN NOVEMBER OF LAST
17 YEAR AND RECOMMENDED A NUMBER OF THESE TO THE ICOC.

18 THE ICOC WAS VERY, VERY EXCITED ABOUT THESE
19 APPLICATIONS AND APPROVED 74 OF THEM FOR FUNDING IN EARLY
20 2007 TO A TOTAL COST OF ABOUT 45 AND A HALF, \$46 MILLION
21 TO SUPPORT THIS PROGRAM. SO THAT IS THE SEED GRANT
22 PROGRAM THAT MANY OF YOU REVIEWED FOR CIRM.

23 THE NEXT RFA IS A COMPREHENSIVE RESEARCH
24 PROGRAM. THAT WAS ALSO POSTED IN AUGUST OF LAST YEAR.
25 THE IDEA WAS TO SUPPORT ESTABLISHED INVESTIGATORS IN THE

BARRISTERS' REPORTING SERVICE

1 STEM CELL FIELD TO PROVIDE SOMEWHAT LONGER TERM SUPPORT,
2 UP TO FOUR YEARS, FOR SIGNIFICANT PROJECTS. AND YOU
3 REVIEWED -- THE GRANTS WORKING GROUP REVIEWED THESE
4 APPLICATIONS IN JANUARY.

5 SO 74 LETTERS OF INTENT WERE RECEIVED FOLLOWED
6 BY 70 COMPLETE APPLICATIONS. AFTER THE JANUARY REVIEW,
7 29 OF THE 70 APPLICATIONS WERE APPROVED BY THE ICOC IN
8 MARCH, I BELIEVE, OF THIS YEAR TO A TUNE OF \$74 AND A
9 HALF MILLION.

10 THE LAST LEG OF THIS THREE-PRONG ATTACK IS TO
11 PROVIDE NIH-FREE SPACE AND EQUIPMENT. AND THE RFA IS
12 ENTITLED "SHARED RESEARCH LABS AND STEM CELL TECHNIQUES
13 COURSE PROGRAM FOR HUMAN EMBRYONIC STEM CELLS." THIS WAS
14 POSTED, I BELIEVE, IN JANUARY OF THIS YEAR. WE MOVED
15 RATHER QUICKLY FORWARD. THIS IS AN INITIATIVE THAT WAS
16 REVIEWED BOTH FOR SCIENTIFIC MERIT AND BY THE FACILITIES
17 WORKING GROUP FOR TECHNICAL EXCELLENCE OF THEIR PROPOSED
18 PLAN BECAUSE WE WERE FUNDING RENOVATION OF SPACE AND
19 EQUIPMENT TO CONDUCT RESEARCH, PROVIDE THREE YEARS OF
20 SUPPORT FOR OPERATIONS AND MAINTENANCE. AND FOR THE
21 COURSE PART OF IT, IF THEY SHOULD CHOOSE TO APPLY, SOME
22 MORE EQUIPMENT AND PERHAPS MINOR RENOVATION AND ALSO
23 FUNDING FOR TEACHING TECHNIQUES FOR THE DERIVATION AND
24 CULTURING OF HUMAN EMBRYONIC STEM CELLS.

25 CIRM RECEIVED 25 LETTERS OF INTENT, 22 COMPLETE

BARRISTERS' REPORTING SERVICE

1 APPLICATIONS. PART 1, THE SCIENTIFIC PART, WAS REVIEWED
2 BY THE GRANTS WORKING GROUP IN FEBRUARY OF THIS YEAR.
3 PART 2, THE TECHNICAL PLANS, WERE REVIEWED BY THE
4 FACILITIES WORKING GROUP IN MARCH. AND IN JUNE OF THIS
5 YEAR, THE ICOC APPROVED 17 OF THESE APPLICATIONS FOR
6 FUNDING; AND OF THE 17, 6 ALSO RECEIVED APPROVAL FOR
7 FUNDING FOR TEACHING TECHNIQUES COURSES. AND THE
8 COMPLETE FUNDING FOR THIS WHOLE PROGRAM, SHARED LABS AND
9 TECHNIQUES COURSES, CAME TO \$50 MILLION.

10 AND THAT IS THE UPDATE ON THE THREE-PRONG
11 INITIATIVE TO JUMP-START HUMAN EMBRYONIC STEM CELLS. ARE
12 THERE ANY QUESTIONS?

13 CHAIRMAN ORKIN: ANY QUESTIONS? I'D JUST LIKE
14 TO MAKE A COMMENT -- THIS IS STU AGAIN -- THAT THE
15 QUALITY OF THE APPLICATIONS IN THE SEED GRANT PROCESS AS
16 WELL AS THE COMPREHENSIVE, PARTICULARLY THE SEED GRANT,
17 FAR EXCEEDED WHAT WE MIGHT HAVE IMAGINED. THEY'RE REALLY
18 QUITE EXCELLENT.

19 DR. CHIU: I WILL ALSO MENTION THAT WE'RE
20 WORKING ON A COMPENDIUM OF THE AWARDS PUT OUT BY CIRM, AS
21 WELL AS APPLICATIONS THAT HAVE BEEN APPROVED FOR FUNDING
22 BY THE ICOC. THAT SHOULD BE READY IN OCTOBER, AND WE
23 WILL BE PUBLISHING THAT ON THE WEB. AND WE WILL LET YOU
24 ALL KNOW SO THAT YOU CAN SEE WHICH ONES FINALLY GOT
25 APPROVAL FOR FUNDING.

BARRISTERS' REPORTING SERVICE

1 DR. MURPHY: STU, RICH MURPHY. THAT'S AN
2 INTERESTING COMMENT YOU MADE. WHY DO YOU THINK THE
3 SEED'S WERE MORE COMPELLING THAN THE COMPREHENSIVES?

4 CHAIRMAN ORKIN: IT'S HARD TO SAY. I THINK IT
5 MAY HAVE BEEN THAT IT WAS THE -- SORT OF THE FIRST PASS,
6 IF YOU WILL, OF APPLICATIONS. IT ALSO WAS THAT THEY WERE
7 FROM YOUNGER INVESTIGATORS WHO MAY HAVE BEEN MORE BOLD IN
8 WHAT THEY'RE PUTTING FORWARD. SOME OF THE COMPREHENSIVE
9 GRANTS WERE FROM MORE ESTABLISHED INVESTIGATORS WHO TRIED
10 TO PLAY IT SAFE PERHAPS.

11 DR. MURPHY: THANK YOU.

12 CHAIRMAN ORKIN: EVEN THE COMPREHENSIVES WERE
13 QUITE GOOD ON THE WHOLE.

14 THE NEXT TOPIC IS CURRENT CIRM INITIATIVES.
15 AND KUMAR HARI WILL TALK ABOUT THE NEW FACULTY AWARD
16 INITIATIVE.

17 DR. HARI: THANKS, STU. AND GOOD MORNING
18 EVERYONE OR GOOD AFTERNOON, DEPENDING ON YOUR LOCATION.
19 I WANT TO GIVE YOU A BRIEF UPDATE ABOUT OUR CURRENT RFA.
20 IN LATE JUNE WE POSTED THIS RFA FOR NEW FACULTY AWARDS.
21 AND THESE ARE DESIGNED TO SUPPORT M. D. AND PH. D.
22 SCIENTISTS IN THEIR EARLY YEARS AS INDEPENDENT LEAD
23 INVESTIGATORS AND FACULTY MEMBERS. AND THE GOAL HERE IS
24 REALLY TO DEVELOP THE NEXT GENERATION OF SCIENTIFIC AND
25 CLINICAL STEM CELL RESEARCH LEADERS HERE IN THE STATE OF

BARRISTERS' REPORTING SERVICE

1 CALI FORNI A.

2 SO RELATIVE TO THE EARLIER PROGRAMS THAT ARLENE
3 DESCRIBED FOR YOU, THIS IS A RATHER NEW RFA FOR US IN A
4 COUPLE OF WAYS. FIRST, WHILE PREVIOUS CIRM RESEARCH
5 GRANTS HAVE FOCUSED ON HUMAN EMBRYONIC STEM CELL
6 RESEARCH, THE NEW FACULTY AWARDS ARE GOING TO SUPPORT
7 RESEARCH ACROSS THE FULL RANGE OF STEM CELL TYPES.
8 THAT'S HUMAN AND ANIMAL, ADULT AND EMBRYONIC, MODEL
9 SYSTEMS. ALL TYPES ARE OPEN FOR APPLICATION.

10 SECOND, THIS PARTICULAR RFA HAS TWO CATEGORIES
11 OF AWARD. ONE THAT IS OPEN GENERALLY TO ANY NEW FACULTY,
12 AND ANOTHER THAT IS DIRECTED TOWARDS
13 PHYSICIAN/SCIENTISTS. SO THOSE ARE INDIVIDUALS WHO HAVE
14 COMPLETED MEDICAL TRAINING IN A RESIDENCY PROGRAM. WE'D
15 REALLY LIKE TO BRING THAT TRANSLATIONAL FOCUS INTO CIRM
16 AND SUPPORT THAT FOCUS WITHIN THE STATE OF CALIFORNIA.

17 SO ANOTHER ASPECT OF THIS PARTICULAR AWARD IS
18 THAT WE REQUESTED THAT INSTITUTIONS NOMINATE INDIVIDUALS
19 FOR THESE AWARDS AND, IN FACT, LIMITED THE NUMBER OF
20 APPLICATIONS PER INSTITUTION TO GET THE VERY BEST AND
21 BRIGHTEST. AND WE WERE TOLD THAT THE INTERNAL
22 COMPETITIONS WERE ACTUALLY RATHER INTENSE, SO WE CAN BE
23 ASSURED THAT WE ARE GETTING SOME OF THE BEST APPLICANTS
24 OUT THERE.

25 THESE APPLICATIONS WILL BE EVALUATED IN THREE

BARRISTERS' REPORTING SERVICE

1 AREAS. THOSE ARE, FIRST, THE SIGNIFICANCE, INNOVATION,
2 DESIGN, AND FEASIBILITY OF THE RESEARCH PLAN. SECOND,
3 THE QUALIFICATIONS AND CAREER DEVELOPMENT PLAN OF
4 PRINCIPAL INVESTIGATORS AS THESE ARE RELATIVELY
5 LONG-TERM. THAT'S FIVE-YEAR AWARDS. AND THIRD, THE
6 COMMITMENT AND TRACK RECORD OF THE INSTITUTION ITSELF.
7 SO CIRM REALLY WANTS TO SEE THAT THE INSTITUTION IS
8 SUPPORTING THESE YOUNG INVESTIGATORS AND, IN FACT,
9 PARTNERING WITH CIRM WITH RESPECT TO BRINGING THEM ALONG
10 IN THEIR CAREERS.

11 SO APPLICATIONS FOR THESE AWARDS WERE DUE ON
12 AUGUST 30TH, AND WE RECEIVED 59 TOTAL APPLICATIONS. OUR
13 BOARD, THE ICOC, HAS APPROVED THE FUNDING OF UP TO 15 OF
14 THE BASIC NEW FACULTY AWARDS AND 10 OF THE
15 PHYSICIAN/SCIENTIST AWARDS. OUR REVIEW IS SET FOR
16 OCTOBER 23D AND 24TH, AND WE HOPE TO BRING THE
17 RECOMMENDATIONS OF THE GRANTS WORKING GROUP TO THE ICOC
18 IN DECEMBER.

19 SO I'LL TAKE ANY QUESTIONS IF THERE ARE ANY.

20 DR. BRIVANLOU: KUMAR, THIS IS ALI BRIVANLOU
21 FROM THE ROCKEFELLER. I JUST HAVE A VERY QUICK QUESTION
22 ABOUT THE RATIONALE OF EXTENDING THE YOUNG INVESTIGATOR
23 AWARDS TO INCLUDE OTHER STEM CELLS OUTSIDE OF HUMAN
24 EMBRYONIC STEM CELLS. IF I UNDERSTAND CORRECTLY FROM THE
25 RATIONALE OF CIRM, ALSO THE WAY IT WAS PRESENTED BY

BARRISTERS' REPORTING SERVICE

1 ARLENE A FEW MINUTES AGO, THE RATIONALE WAS THAT IT WAS A
2 FEELING THAT THE FEDERAL FUNDS WERE NOT BEING USED AND
3 WERE UNDERFUNDING STEM CELL RESEARCH. I BELIEVE THAT
4 THIS IS THE CASE IN OTHER AREAS OUTSIDE OF HUMAN
5 EMBRYONIC STEM CELLS. SO I'M WONDERING WHAT THE
6 RATIONALE FOR EXPANDING THIS, KNOWING THAT MANY OTHER
7 FUNDING AGENCIES, NOT ONLY FEDERAL, BUT ALSO PRIVATE, CAN
8 COVER BEAUTIFULLY IN SELECTING THE OTHER FIELDS OF STEM
9 CELLS.

10 DR. HARI: HERE WITH THESE PARTICULAR AWARDS,
11 IT'S NOT CLEAR OR WE CAN'T PREDICT PRECISELY WHERE THE
12 INNOVATIONS ARE GOING TO COME FROM. SO IN TERMS OF
13 SUPPORTING THE BREADTH OF BUILDING A FOUNDATION OF
14 SCIENTIFIC EXCELLENCE WITHIN THE STATE, WE DECIDED TO
15 OPEN THIS PARTICULAR RFA UP TO ANY TYPE OF STEM CELL SO
16 THAT WE CAN CAPTURE THE REAL BREADTH OF EXPERTISE AND
17 INTELLECT WITHIN THE STATE.

18 DR. BRIVANLOU: RIGHT. AS YOU SAID, ALSO, I
19 THINK THERE IS SELF-CENSORSHIP GOING ON AMONG SCIENTISTS
20 THAT ARE A LITTLE BIT AFRAID OF ENTERING THE FIELD
21 BECAUSE OF THE RESTRICTIONS THAT WE'VE ALREADY MENTIONED.
22 DO YOU THINK THAT THIS IS GOING TO ENRICH FOR CANDIDATES
23 WHO ARE ACTUALLY NOT GOING TO BE WORKING ON HUMAN
24 EMBRYONIC STEM CELLS? AND HOW WOULD CIRM REACT IF 95
25 PERCENT OF THE APPLICATIONS YOU GET ARE COMPLETELY NOT ON

BARRISTERS' REPORTING SERVICE

1 HUMANS?

2 DR. CHIU: MAY I TRY TO ADDRESS THAT, ALI? I
3 THINK YOU BROUGHT UP A VERY IMPORTANT POINT, THAT OUR
4 MAIN FOCUS IS HUMAN EMBRYONIC STEM CELLS. WE ALSO KNOW
5 FROM THE COMMUNITY THAT A LOT OF FINDINGS OR APPROACHES
6 IN HUMAN EMBRYONIC STEM CELLS ARE BASED ON FINDINGS THAT
7 ARE EMERGING FROM OTHER DEVELOPMENTAL BIOLOGY STEM CELL
8 RESEARCH AREAS. AND WE WANTED TO BUILD THIS CADRE OF
9 LEADERS IN CALIFORNIA, AND WE HOPE THAT THEY WILL
10 NETWORK, OF COURSE, SO THAT WE CAN LEVERAGE BOTH THE
11 HUMAN EMBRYONIC STEM CELL RESEARCH FIELD AS WELL AS
12 INFORMATION FROM OTHER REGENERATIVE MEDICINE APPROACHES.

13 AND THE SECOND REASON IS FOR YOUNG FACULTY
14 MEMBERS, WE'VE BEEN HEARING THAT THE CURRENT FUNDING
15 LEVELS AT THE NIH HAS REALLY DISCOURAGED PEOPLE FROM
16 BEING LEADERS IN THE FIELD OR EVEN ENTERING THE FIELD.
17 AND FOR THOSE THAT INSTITUTIONS HAVE MADE A COMMITMENT
18 TO, WE REALLY WANTED TO SUPPORT THAT.

19 BY RESTRICTING TO FOUR APPLICATIONS PER
20 INSTITUTION, WE HOPE TO HELP SUPPORT THE BEST AND THE
21 BRIGHTEST. WE ALSO WANTED TO SEND A MESSAGE OUT TO THE
22 INSTITUTIONS THAT WE WANTED THEM TO PARTNER AND THAT THEY
23 NEED TO MENTOR THEIR YOUNG FACULTY AND HELP THEM BECOME
24 SUCCESSFUL. SO IT WAS SORT OF A THREE-PRONG ATTACK ON
25 THIS LEVEL. WE'RE DOING THE TRAINING. WE'VE DONE THE

BARRISTERS' REPORTING SERVICE

1 SEED' S FOR TWO YEARS, THE COMPREHENSIVES. NOW IT' S THE
2 YOUNG INVESTIGATORS WHO HAVE MADE A COMMITMENT TO
3 RESEARCH, WE WANT TO HELP THEM TO BE SUCCESSFUL. SO IT
4 WAS WITH THAT IN MIND.

5 DR. BRIVANLOU: I APPRECIATE VERY MUCH. YOU
6 KNOW, MOST OF US ARE MODEL SYSTEMS OF DEVELOPMENTAL
7 BIOLOGIES WORKING WITH FROGS. AND CERTAINLY THE ISSUE IS
8 NOT THAT THOSE ARE NOT IMPORTANT OR THEY SHOULD NOT BE
9 FOLLOWED. MY WORRY IS THAT IT CREATES A PATH OF LESS
10 RESISTANCE FOR THE YOUNG INVESTIGATORS TO TARGET MORE
11 MODEL SYSTEMS THAN HUMAN SYSTEMS. ALL I' M SAYING IS
12 PERHAPS AN AMENDMENT CAN BE MADE TO SAY IN ADDITION TO
13 THE HUMAN EMBRYONIC STEM CELL. IF A LABORATORY WANTS TO
14 DO A COMPARATIVE WORK IN MODEL STEM CELLS, THAT THEY
15 WOULD BE FUNDED.

16 DR. CHIU: YOU WILL BE PART OF THE REVIEW
17 PROCESS, AND YOUR RECOMMENDATIONS WILL BE TAKEN VERY
18 SERIOUSLY DURING PROGRAMMATIC REVIEW, AND YOUR COMMENTS
19 WILL CARRY A LOT OF WEIGHT TO THE ICOC.

20 DR. BRIVANLOU: THANK YOU.

21 DR. WILLIAMS: THIS IS DAVE WILLIAMS. I' VE
22 JUST GOT ONE SMALL SORT OF OPERATIONAL QUESTION. ON PAGE
23 3, UNDER PRINCIPAL INVESTIGATOR ELIGIBILITY, THERE' S A
24 SENTENCE THAT SAYS, "AT ACADEMIC INSTITUTIONS,
25 INDEPENDENT INVESTIGATORS ARE EXPECTED TO BE TENURE-TRACK

BARRISTERS' REPORTING SERVICE

1 FACULTY POSITIONS." HAVING SERVED ON REVIEW COMMITTEES
2 FOR A LOT OF DIFFERENT THINGS, THIS AREA FOR JUNIOR
3 FACULTY AWARDS ALWAYS IS AN AREA THAT CAN CAUSE
4 CONFUSION.

5 I WONDER WHY THE WORDING WAS THAT WAY RATHER
6 THAN MORE EXPLICITLY, THAT TO BE ELIGIBLE, YOU HAD TO BE
7 A TENURE-TRACK FACULTY MEMBER. OR IS THAT WHAT YOU
8 INTENDED, IN FACT?

9 DR. HARI: DR. WILLIAMS, THE INTENT HERE IS
10 REALLY TO CAPTURE INVESTIGATORS WHO MAY BE EMPLOYEES OR
11 WORKING AT PRIVATE INSTITUTIONS. AND WE HAD TO GIVE
12 PEOPLE A SENSE AT THOSE INSTITUTIONS OF THE TYPES OF
13 INDIVIDUALS WE WERE LOOKING FOR BECAUSE REALLY THE TITLES
14 OF EMPLOYMENT AT THOSE RESEARCH INSTITUTIONS ARE SO
15 VARIOUS, THAT WE COULDN'T GIVE STRICT DEFINITIONS FOR WHO
16 MIGHT BE ELIGIBLE. SO BEYOND SAYING THAT AN INDIVIDUAL
17 MUST BE WITHIN THE FIRST SIX YEARS OF THEIR FIRST
18 INDEPENDENT -- START DATE OF THEIR FIRST INDEPENDENT
19 POSITION, WE HAD TO GIVE THEM ALSO A SENSE OF THE TYPE OF
20 COMMITMENT WE EXPECTED TO SEE FROM THE INSTITUTIONS.
21 THAT WAS THE INTENT BEHIND THAT PHRASING.

22 DR. WILLIAMS: WHAT'S THE ANSWER TO THE
23 QUESTION IF YOUR ACADEMIC INSTITUTION, DOES THAT MEAN IF
24 YOU ARE AN INSTRUCTOR ON A NONTENURE TRACK ACADEMIC
25 TRACK, ARE YOU ELIGIBLE OR NOT ELIGIBLE?

BARRISTERS' REPORTING SERVICE

1 DR. CHIU: NOT ELIGIBLE.

2 DR. HARI: YOU WOULDN'T BE ELIGIBLE.

3 DR. WILLIAMS: I DON'T KNOW ABOUT EVERYBODY
4 ELSE, BUT I WONDER IF YOU SHOULD BE MUCH MORE EXPLICIT
5 ABOUT THIS REQUIREMENT THAN WHAT THIS APPEARS TO BE?

6 CHAIRMAN ORKIN: I THINK IF THE INSTITUTIONS
7 ARE SELECTING -- PRESELECTING THOSE CANDIDATES THEY WANT
8 TO GO FORWARD, THEY'RE PROBABLY NOT GOING TO SELECT THE
9 INSTRUCTORS WHO ARE IN THIS KIND OF NEBULOUS AREA. OR IF
10 THEY DO, THEN THE COMMITTEE CAN DECIDE DISPOSITION AT
11 THAT TIME.

12 DR. HARI: I CAN TELL YOU THAT AT THE LOI
13 SUBMISSION STAGE FOR THIS RFA, WE DID RECEIVE A COUPLE OF
14 PHONE CALLS FROM APPLICANTS AND INSTITUTIONS REGARDING
15 THE ELIGIBILITY OF PARTICULAR INDIVIDUALS. FOR PEOPLE IN
16 THE GRAY ZONE, WE DID LET THEM KNOW THAT THE COMMITMENT
17 OF THE INSTITUTION, BOTH DEMONSTRATED AS WELL AS FUTURE,
18 IS A KEY COMPONENT OF THIS PARTICULAR RFA, AND THAT
19 APPLICANTS WHO WOULD BE PUT FORWARD BY A GIVEN
20 INSTITUTION ARE GOING TO BE MEASURED AGAINST THOSE
21 INDIVIDUALS WHO HAVE STRONG START-UP PACKAGES, FOR
22 EXAMPLE. SO THAT IS PART OF THE COMPETITION FOR THIS
23 RFA.

24 DR. WILLIAMS: SOUNDS GOOD.

25 CHAIRMAN ORKIN: ONE THING I'VE ALREADY HEARD,

BARRISTERS' REPORTING SERVICE

1 TALKING TO A COUPLE OF PEOPLE AT THE INSTITUTIONS, IS
2 SOME OF THE JUNIOR FACULTY PEOPLE ARE WORRIED ABOUT WHAT
3 HAPPENS AT THE END OF THE FIVE-YEAR PERIOD.

4 DR. HARI: FIVE YEARS IS A LONG TIME.

5 CHAIRMAN ORKIN: FROM A FOUNDATION FOR FIVE
6 YEARS, THEY'RE ALREADY CONCERNED THAT IF THEY HAVE STRONG
7 SUPPORT FROM CIRM AS JUNIOR FACULTY, WHEN THEY COME TO
8 THE END OF THE FIVE YEARS, ARE THEY GOING TO BE LEFT
9 HOLDING A LABORATORY WITHOUT MUCH FUNDS.

10 DR. CHIU: WE WILL LOOK AT THAT SERIOUSLY IN A
11 COUPLE OF YEARS, BUT RIGHT NOW WE'RE JUST WORKING ON THE
12 NEXT TWO YEARS.

13 CHAIRMAN ORKIN: THAT'S WHAT I TOLD THEM.

14 DR. CHIU: BUT IT'S A VERY LEGITIMATE QUESTION.

15 CHAIRMAN ORKIN: ONCE YOU GET USED TO SIRLOIN,
16 IT'S HARD TO GO BACK TO GROUND BEEF.

17 MS. SAMUELSON: TO AMPLIFY ON THAT A LITTLE
18 BIT, THEY MAY NOT BE ABLE TO GET USED TO SIRLOIN, BUT
19 THEY SHOULD TAKE SOME COMFORT IN LOOKING AT OUR BUDGET,
20 WHICH NOW THAT THE BONDS ARE GOING TO BE ABLE TO BE
21 ISSUED SINCE THE LAWSUIT IS OVER, WE WILL HAVE AN INCOME
22 STREAM OF 300 MILLION ODD A YEAR FOR SOME YEARS. AND
23 THEY CAN BE COMPETING FOR THAT MONEY IN THE FORM OF THE
24 ACTUAL SUBSTANTIVE GRANTS THAT WILL BE ISSUED OVER THAT
25 TIME.

BARRISTERS' REPORTING SERVICE

1 DR. CHIU: THAT'S RIGHT, JOAN. AND WE DO HAVE
2 THE STRATEGIC PLAN, AND IT SAYS OUT YEARS, THERE ARE
3 SHORT, MEDIUM, AND LONG-TERM INITIATIVES THAT ARE SORT OF
4 OUTLINED THERE.

5 CHAIRMAN ORKIN: THEY DIDN'T GET MUCH SYMPATHY
6 FROM ME.

7 I GUESS NEXT WE'RE UP TO, LET'S SEE, MAJOR
8 FACILITIES. ARLENE, HAVE YOU --

9 DR. CHIU: I DON'T HAVE ANY SLIDES FOR THIS.
10 I'M JUST GOING TO TALK QUITE QUICKLY. THIS IS ANOTHER
11 INITIATIVE, A BIG ONE, IN CALIFORNIA THAT WAS LAUNCHED
12 RECENTLY. AND AS YOU KNOW, ONE OF OUR GOALS IS TO
13 PROVIDE FUNDING FOR NEW FACILITIES THAT ARE FREE OF
14 FEDERAL FUNDING TO EXPAND THE CONDUCT OF RESEARCH AND
15 PROVIDE FOR INTERACTION AND BUILDING OF MORE AND BETTER
16 PROGRAMS IN CALIFORNIA.

17 THE FIRST SHOT AT THIS WAS THE SHARED LABS
18 PROGRAM, AND THAT WAS SUPPOSED TO BE QUICK, BUT VERY
19 LIMITED IN SCOPE.

20 THE CIRM MAJOR FACILITIES GRANT PROGRAM IS A
21 MUCH LARGER PROGRAM TO ESTABLISH CIRM FACILITIES THAT
22 COVERS THE FULL SPECTRUM OF RESEARCH FROM DISCOVERY TO
23 DEVELOPMENT AND TO POSSIBLE TESTING OF CURES, THERAPIES,
24 DIAGNOSTICS, AND TECHNOLOGIES FOR THE TREATMENT OF INJURY
25 AND DISEASE.

BARRISTERS' REPORTING SERVICE

1 THIS RFA IS ONLY OPEN TO NONPROFIT ENTITIES AND
2 ACADEMIC ENTITIES IN CALIFORNIA, AND ALL THE PROPOSED
3 FACILITIES MUST BE LOCATED IN CALIFORNIA. IT'S ALSO
4 STATED IN PROP 71 THAT WE WILL BE FUNDING BUILDING OF
5 SUCH FACILITIES.

6 WE'VE LIMITED APPLICATIONS, THAT EACH
7 INSTITUTION CAN ONLY SUPPORT ONE APPLICATION, AND EACH
8 APPLICATION IS TO ADDRESS ONE SINGLE PROJECT AT ONE
9 SINGLE SITE.

10 SO NOT TO GO INTO TOO MUCH DETAIL ABOUT IT,
11 BECAUSE WE HAVE MORE THINGS ON THE AGENDA, WE BROKE THIS
12 APPLICATION UP, BECAUSE OF ITS COMPLEXITY, INTO TWO
13 PARTS. THE APPLICATION PART 1 WILL DEAL WITH THE BREADTH
14 AND DEPTH OF THE OVERALL STEM CELL RESEARCH PROGRAM AT
15 THE APPLICANT INSTITUTION AND IDENTIFIES THE PARTS OF THE
16 PROGRAM THAT WILL BE HOUSED IN THE PROPOSED FACILITY AND
17 ALSO, IN GENERAL, DESCRIBE HOW IMPORTANT WILL THE NEW
18 FACILITY BE FOR THE DEVELOPMENT OF THEIR STEM CELL
19 PROGRAM. AND THIS WOULD BE THE SCIENTIFIC JUSTIFICATION
20 FOR THE PROPOSED FACILITY, AND THIS WILL BE REVIEWED,
21 THEREFORE, BY THE GRANTS WORKING GROUP FOR SCIENTIFIC
22 MERIT.

23 THERE ARE NO DOLLARS ATTACHED TO THIS PART 1,
24 BUT THERE WILL BE A LOT OF INFORMATION ON THE SCIENTIFIC
25 PROGRAM AT EACH OF THE APPLICANT INSTITUTIONS, NOT ONLY

BARRISTERS' REPORTING SERVICE

1 THAT WHICH WILL BE HOUSED IN THE PROPOSED FACILITY, BUT
2 THE OVERALL PROGRAM AND AUXILIARY PROGRAMS AT THE
3 INSTITUTION AND COLLABORATION, SO IT'S QUITE COMPLEX.

4 NOW, HAVING SUBMITTED THIS FOR YOUR REVIEW, THE
5 CIRM -- YOUR RECOMMENDATIONS WILL BE BROUGHT TO THE CIRM
6 GOVERNING BODY, THE ICOC, WHO CAN APPROVE ALL OR PART OF
7 AN APPLICATION'S PART 1 APPLICATION. THE INSTITUTION
8 WILL THEN BE INVITED TO APPLY FOR PART 2 WHERE THEY WILL
9 ADDRESS THE TECHNICAL ASPECTS OF ITS BUILDING PROGRAM,
10 THE COSTS INVOLVED, AND TO EXPLAIN HOW THE PROGRAM ALIGNS
11 WITH CIRM'S OBJECTIVES. SO TWO PARTS. YOU DON'T HAVE TO
12 WORRY ABOUT PART 2 SINCE PART 2 WILL BE REVIEWED BY THE
13 FACILITIES WORKING GROUP, WHOSE MEMBERS ARE EXPERTS IN
14 TECHNICAL AND CONSTRUCTION ASPECTS OF THE PROGRAM.

15 SO I'LL JUST CONFINE MY REMARKS TO PART 1, THE
16 SCIENTIFIC REVIEW. EACH PROPOSAL, AS I SAID, WILL BE
17 JUDGED ON THE BREADTH AND DEPTH OF ITS RESEARCH PROGRAM.
18 AND WHAT DO WE MEAN BY THAT? WE'RE LOOKING AT A PROGRAM
19 IN TWO DIMENSIONS. FOR BREADTH WE SEE THREE ELEMENTS,
20 AND PLEASE BEAR IN MIND THE WORD "ELEMENT" MEANS
21 SOMETHING VERY SPECIFIC. THERE IS AN X ELEMENT, A Y
22 ELEMENT, AND A Z ELEMENT, EACH OF WHICH WILL CONSTITUTE A
23 UP TO TEN-PAGE APPLICATION.

24 SO THE X ELEMENT COVERS THEIR BASIC AND
25 DISCOVERY RESEARCH PROGRAM OR PROGRAMS. THE Y ELEMENT

BARRISTERS' REPORTING SERVICE

1 WILL FOCUS ON PRECLINICAL RESEARCH WHERE BASIC
2 DISCLOSURES AND TECHNOLOGIES ARE APPLIED TOWARD
3 DEVELOPMENT OF TREATMENTS AND MAY INCLUDE RESEARCH SUCH
4 AS IN VITRO ASSAYS, IN VIVO MODELS, DRUG DISCOVERY. AND
5 FINALLY, THE Z ELEMENT INVOLVES PRECLINICAL DEVELOPMENT
6 NOW, PRODUCT DEVELOPMENT IDEAS AND CLINICAL RESEARCH,
7 INCLUDING PROGRAMS TO POTENTIALLY TEST OUTCOMES OF USE OF
8 THERAPEUTICS OR PROCEDURES.

9 NOW, EACH APPLICANT CAN CHOOSE TO APPLY ONLY
10 FOR THE X PART OR FOR X AND Y OR X AND Z OR Y AND Z, OR
11 FOR ALL THREE. IT'S UP TO THEM, BUT FOR EACH PART, THEY
12 HAVE TO WRITE A SINGLE ELEMENT PROPOSAL. AND SO THEY CAN
13 COMPETE IN ONE, TWO, OR THREE ELEMENTS.

14 NOW, LET'S LOOK AT THE DEPTH OF EACH ELEMENT
15 NOW. FOR REVIEW OF DEPTH OF A PARTICULAR PROGRAM FOR
16 EACH OF THESE ELEMENTS, THE APPLICATION WILL BE JUDGED ON
17 THE STRENGTHS AND WEAKNESSES OF ITS PROGRAM. AND THE
18 DEPTH WILL BE COVERED IN JUST FOUR PARTS: THE SCIENTIFIC
19 PROGRAM OBVIOUSLY, HOW GOOD ARE THE PI'S, HOW WELL -- HOW
20 MUCH SYNERGISM, ETC., WILL TAKE PLACE. SECOND WILL BE
21 ARE THERE ANY FORMAL INSTITUTIONAL COLLABORATIONS THAT
22 THEY'VE ESTABLISHED TO BUILD UP THE STRENGTH OF THEIR
23 PROGRAM. THE THIRD WILL BE ON THE CORE SERVICES THAT
24 EITHER THEY ALREADY CURRENTLY HAVE OR THAT THEY PROPOSE
25 IN THE FACILITY AND HOW WELL IT MESHES WITH OR BUILDS

BARRISTERS' REPORTING SERVICE

1 UPON THE PROGRAM THAT THEY HAVE IN MIND. AND LAST, BUT
2 NOT LEAST, THEIR PLANS FOR GROWTH OF THIS ASPECT, THIS
3 ELEMENT OF THE PROGRAM, RECRUITMENT OF NEW FACULTY,
4 COMMITMENTS OF ANY KINDS BY THE INSTITUTION. SO EACH
5 ELEMENT WILL BE JUDGED ON THESE FOUR ASPECTS.

6 AND THEN YOU, THE MEMBERS OF THE GRANTS WORKING
7 GROUP, WILL BE ASKED TO GIVE A SCORE FOR EACH ELEMENT AS
8 YOU ALWAYS DO FOR EACH GRANT, BUT IT'S EACH ELEMENT. SO
9 YOU WILL BE COMPARING X'S AGAINST X'S, Y'S AGAINST Y'S,
10 AND Z'S AGAINST Z'S.

11 AFTER YOUR RECOMMENDATIONS HAVE BEEN MADE, THE
12 ICOC WILL LOOK AT WHAT YOUR RECOMMENDATIONS ARE, SO I'LL
13 GIVE YOU AN EXAMPLE. AN INSTITUTION MAY COME IN WITH A
14 PROPOSAL FOR X, Y, AND Z, AND YOU GAVE THEM A SCORE OF 80
15 FOR X AND Y AND 20 FOR Z. THEY'RE NOT COMPETITIVE IN Z.
16 AND THE ICOC MAY THEN DECIDE TO ACCEPT YOUR
17 RECOMMENDATION AND JUST TELL THEM YOU'RE GOOD FOR TWO
18 ELEMENTS, NOT THREE.

19 WHAT DOES THIS MEAN IF YOU ARE APPROVED OR
20 RECOMMENDED FOR ONE ELEMENT VERSUS TWO VERSUS THREE? IT
21 TELLS THE APPLICANT THE SIZE OF THE FACILITY THAT THEY
22 ARE NOW INVITED TO APPLY FOR, AND THERE ARE DIFFERENT
23 DOLLAR AMOUNTS ASSOCIATED WITH A ONE-ELEMENT, A
24 TWO-ELEMENT, OR A THREE-ELEMENT PROGRAM. I THINK WE HAVE
25 NAMES LIKE CIRM INSTITUTE WOULD BE A THREE-ELEMENT

BARRISTERS' REPORTING SERVICE

1 PROGRAM, CIRM CENTER FOR EXCELLENCE WOULD BE A
2 TWO-ELEMENT PROGRAM, AND CIRM SPECIAL PROGRAM WOULD BE A
3 ONE-ELEMENT PROGRAM.

4 SO THIS WAY THE SCIENCE WILL DETERMINE THE
5 RANGE OF A PROGRAM OR PROGRAMS THAT AN APPLICANT IS SOLID
6 OR HIGHLY RECOMMENDED FOR. THE ICOC WILL THEN TELL THEM
7 YOU MAY NOW COME IN WITH AN APPLICATION AND PLANS FOR
8 THAT PARTICULAR SIZE OF PROGRAM WITH DOLLAR AMOUNTS
9 BRACKETS ATTACHED. AND THAT WILL GO TO THE FACILITIES
10 WORKING GROUP TO SEE HOW GOOD ARE THEIR PLANS, HOW WELL
11 THEY MEET THE ELEMENTS OF GETTING IT DONE QUICKLY,
12 URGENCY, APPROPRIATE OBJECTIVES OF CIRM IN TERMS OF
13 CO-FUNDING, LEVERAGE, AND MANY OTHER CONSIDERATIONS. BUT
14 IT WOULD NOT BE THE GRANTS WORKING GROUP THAT WILL BE
15 WORRIED ABOUT THAT ASPECT OF IT.

16 AND THEN IN THE END, THE ICOC, AGAIN, WILL LOOK
17 AT THE RECOMMENDATIONS COMING FROM THE FACILITIES WORKING
18 GROUP AND DECIDE -- DETERMINE HOW MUCH FUNDING WILL GO TO
19 EACH OF THESE PROPOSALS.

20 THAT IN A NUTSHELL IS THE FACILITIES PROGRAM
21 WHICH IS POSTED ON THE WEB, AT LEAST PART 1 IS PRETTY
22 CLEARLY DEFINED. PART 2 IS ALSO DEFINED, BUT MORE
23 INSTRUCTIONS WILL COME AS WE SEE WHO GETS INVITED. ARE
24 THERE ANY QUESTIONS?

25 DR. BRIVANLOU: ARLENE, THIS IS ALI BRIVANLOU

BARRISTERS' REPORTING SERVICE

1 AGAIN AT THE ROCKEFELLER. I ASSUME THAT THE PART OF THE
2 BUDGET FOR MAKING NEW BUILDINGS AND NEW SPACE AVAILABLE
3 WOULD BE SOLELY DEDICATED TO THE HUMAN PART AS THE
4 NONHUMAN PARTS DO NOT REALLY NEED ANY PRIVATE FACILITIES.

5 DR. CHIU: CAN YOU SAY THAT AGAIN, ALI? ARE
6 SOLELY ASSIGNED TO WHICH PARTS, TO THE HUMAN VERSUS
7 NONHUMAN?

8 ACTUALLY WE'RE BEING BROADER THAN THAT, BUT YOU
9 WILL JUDGE WHEN THEY COME IN ABOUT THEIR WHOLE STEM CELL
10 PROGRAM. THIS IS NOT JUST HUMAN ONLY, BUT HUMAN AND
11 NONHUMAN. WE WANT TO INTEGRATE THESE FOR THE PEOPLE WHO,
12 NOT JUST IN HUMAN OR NONHUMAN STEM CELLS, BUT IMAGING,
13 CORE FACILITIES, MAYBE EVEN GMP. I DON'T KNOW WHAT'S
14 GOING TO COME IN. I'M JUST THINKING OFF THE TOP OF MY
15 HEAD. HIGH THROUGHPUT, WHATEVER THEY THINK WOULD
16 FACILITATE THEIR WHOLE STEM CELL PROGRAM. IN PARTICULAR,
17 OF COURSE, THE HUMAN EMBRYONIC STEM CELL PROGRAM WILL
18 COME TO YOU FOR YOUR COMMENTS AND EVALUATIONS. WE DON'T
19 KNOW WHAT'S GOING TO HAPPEN YET.

20 THE LETTERS OF INTENT DON'T COME IN UNTIL
21 SEPTEMBER 24TH, AND THE APPLICATIONS ARE DUE OCTOBER 16TH
22 FOR PART 1. SO WE'RE NOT SURE WHAT'S GOING TO COME IN
23 YET, BUT THERE'S A LOT OF INTEREST OUT THERE.

24 MR. KLEIN: ARLENE, IT MIGHT BE HELPFUL IF
25 EVERYONE UNDERSTOOD THE SCALE HERE. THE RFA IS FOR 227,

BARRISTERS' REPORTING SERVICE

1 \$230 MILLION. AND AS A MEMBER OF THE FACILITIES GROUP,
2 WHICH I'M ALSO A MEMBER WITH JEFF SHEEHY AND JANET WRIGHT
3 AND JOAN SAMUELSON, WHO I KNOW ARE ON THIS CALL, THERE
4 MAY BE OTHER MEMBERS OF THAT WORKING GROUP ON THE CALL AS
5 WELL. WITHIN THE SECOND PART OF THIS, PART OF WHAT
6 THEY' LL BE JUDGED UPON IS LEVERAGE. IF THE INSTITUTION
7 IS MAKING A SUFFICIENT COMMITMENT TO REALLY GET THE
8 MAXIMUM LEVERAGE FOR STEM CELL RESEARCH IN CALIFORNIA,
9 AND I, SPEAKING AS AN INDIVIDUAL, WOULD HOPE TO SEE AT
10 LEAST A ONE-TO-ONE LEVERAGE, WHICH WOULD MEAN THAT WE'RE
11 IN A \$450 MILLION RANGE ON FACILITIES. AND I WOULD
12 ACTUALLY HOPE AND BELIEVE FROM PUBLIC ANNOUNCEMENTS OF
13 PROJECTS UNDER WAY THAT WE'RE AWARE OF IN THE STATE THAT
14 WE WILL HAVE OVER \$500 MILLION OF FACILITIES HERE.

15 SO THOSE FACILITIES MIGHT INCLUDE VIVARIUM,
16 MIGHT NOT, MIGHT INCLUDE OTHER SPECIALIZED FACILITIES,
17 HIGH THROUGHPUT FACILITIES OR SPECIAL AREAS DEDICATED TO
18 VERY HIGH THROUGHPUT SCREENING ON A VERY LARGE-SCALE WITH
19 ROBOTICS, FOR EXAMPLE.

20 WHAT'S IMPORTANT HERE IS TO REALIZE THAT THIS
21 FIRST PART THAT ARLENE HAS DESCRIBED, THE SCIENCE IS
22 REALLY DRIVING THIS. AND SCIENTIFIC SCORES, AS ARLENE
23 INDICATED, ARE GOING TO BE THE MAJOR INSTRUCTION TO THE
24 BOARD ON HOW TO CLASSIFY AND PROVIDE INVITATIONS FOR THE
25 SECOND PART OF THE PROCESS, WHICH WILL RESULT IN THE

BARRISTERS' REPORTING SERVICE

1 AWARD.

2 DR. CSETE: I HAVE SIMILAR CONCERNS TO ALI ; BUT
3 IN ADDITION, UNDERSTANDING THE VALUE OF COMPETITION
4 BETWEEN INSTITUTIONS IN CALIFORNIA, I'M WONDERING, SINCE
5 THE SCALE OF THESE IS SO LARGE, WHETHER THERE'S INCENTIVE
6 FOR PEOPLE TO OPEN THEIR FACILITY TO OTHER INSTITUTIONS
7 WITHIN CALIFORNIA. SO, FOR EXAMPLE, HOW MANY GMP
8 FACILITIES DO YOU REALLY NEED? AND SINCE THIS IS A STATE
9 INITIATIVE, WHETHER THAT SHOULD ALSO BE PART OF THE
10 CONSIDERATION, HOW THEY DRIVE THE OVERALL STATE EFFORT
11 WITH THIS NEW FACILITY THAT THEY'RE PROPOSING.

12 DR. CHIU: COLLABORATION IS ONE OF THE KEY
13 ELEMENTS. WE ASK FOR BOTH FORMAL PARTNERSHIPS, AND I
14 HEAR THAT THERE ARE ALL KINDS OF LESS FORMAL. A RANGE OF
15 PARTNERSHIPS HAVE BEEN PLANNED. SO I THINK YOU WILL FIND
16 THAT THERE WILL BE A LOT OF THAT IN THE APPLICATIONS.

17 MR. KLEIN: IN THE SECOND PART OF THE
18 EVALUATION, THERE'S AN ACTUAL POINT CATEGORY FOR
19 SOMETHING CALLED SHARED RESOURCES. SO THAT, FOR EXAMPLE,
20 IF ONE INSTITUTION IS BUILDING A MAJOR FACILITY, BUT THEY
21 CAN'T AFFORD TO PUT A VIVARIUM IN IT AND THEY HAVE A
22 COLLABORATION OR A FORMAL WRITTEN PARTNERSHIP WITH
23 ASSURED ACCESS TO A VIVARIUM AT ANOTHER INSTITUTION, THEY
24 GET POINTS FOR THIS COLLABORATION BECAUSE IT ESSENTIALLY
25 SAVES MONEY TO THE STATE AS A PROGRAM NOT TO COMPLETELY

BARRISTERS' REPORTING SERVICE

1 DUPLICATE.

2 AND GMP IS ANOTHER ACTUAL EXAMPLE THAT YOU JUST
3 BROUGHT UP, THAT IF THERE'S SOMEONE WITH A GMP FACILITY
4 THAT HAS A FORM OF COLLABORATION WITH SOMEONE WHO IS
5 MAKING THE GRANT APPLICATION, THE GRANT APPLICATION WILL
6 GET POINTS FOR NOT DUPLICATING A GMP FACILITY WHEN THEY
7 HAVE ACCESS THROUGH THIS FORMAL PARTNERSHIP TO THAT
8 OPPORTUNITY.

9 CHAIRMAN ORKIN: ANY OTHER COMMENTS? GOOD.
10 THANK YOU, ARLENE. SOUNDS VERY INTERESTING.

11 THE NEXT TOPIC IS THAT OF DISEASE TEAM
12 INITIATIVE, A NEW REPORT, AND BETTINA STEFFEN WILL BE
13 DISCUSSING THIS.

14 DR. STEFFEN: I UNDERSTAND THE WEBCAST MIGHT
15 NOT BE WORKING FOR ALL OF YOU, SO I'LL TRY TO CUE YOU
16 INTO WHICH OF THE SLIDES WE'RE LOOKING AT.

17 AND JUST AS A STARTING POINT, I'D LIKE TO TELL
18 YOU WHERE CIRM IS IN THE PROCESS OF DEVELOPING THIS
19 INITIATIVE. RIGHT NOW WE ARE STILL AT THE CONCEPT
20 DEVELOPMENT STAGE, SO THIS IS AN INFORMATION GATHERING
21 MODE.

22 AND THE SECOND REASON THAT WE SHOULD SPEND SOME
23 TIME ON THIS TODAY IS THAT THIS WILL BE A DEPARTURE FROM
24 THE TRADITIONAL RESEARCH GRANTS. WE'LL SEE TEAM-BASED
25 RESEARCH, MORE ACTIVE MANAGEMENT ON THE PART OF THE

BARRISTERS' REPORTING SERVICE

1 AGENCY, AND SO WE THOUGHT IT WOULD BE WORTHWHILE TO TAKE
2 TIME TO BRIEF YOU ON THE INITIATIVE AND ASK FOR YOUR
3 INPUT.

4 I'VE MOVED ON TO THE AGENDA SLIDE. WE'LL TALK
5 BRIEFLY ABOUT THE INITIATIVE AND THEN GO THROUGH THE KEY
6 POINTS THAT CAME OUT IN OUR WORKSHOP AND THEN OPEN THIS
7 UP TO DISCUSSION WITH YOU.

8 WE'RE NOW LOOKING AT THE DISEASE TEAM INTENT
9 SLIDE. CIRM HAS A SCIENTIFIC STRATEGIC PLAN WHICH WAS
10 ADOPTED IN 2006 THAT ESTABLISHED A BLUEPRINT FOR
11 ACHIEVING THE INSTITUTE'S GOALS. AND SEVERAL FUNDING
12 PROGRAMS ARE DESCRIBED IN THERE, EACH TARGETING DIFFERENT
13 AREAS OF RESEARCH. AMONG THEM IS THE DISEASE TEAM
14 INITIATIVE.

15 AND THE INTENT OF THE INITIATIVE IS "TO EXPLORE
16 A NEW METHOD OF INTEGRATING AND ORGANIZING THE HIGHEST
17 QUALITY BASIC, TRANSLATIONAL, AND CLINICAL RESEARCH WITH
18 A SPECIFIC AIM OF PRODUCING A THERAPY FOR A PARTICULAR
19 DISEASE OR GROUP OF DISEASES WHOSE RESEARCH IS POISED FOR
20 THE DEVELOPMENT OF THERAPIES. "

21 MOVING ON TO THE RATIONALE SLIDE, THE REASON
22 THAT DISEASE TEAMS ARE IMPORTANT IS THAT DEVELOPMENT CAN
23 PROCEED FASTER AND MORE EFFECTIVELY IF THREE CONDITIONS
24 ARE MET. THE MULTIDISCIPLINARY MEMBERS SHOULD
25 PARTICIPATE IN ALL PHASES OF THE PROJECT. AND THIS

BARRISTERS' REPORTING SERVICE

1 ENCOURAGES EARLY CONSULTATION AND COOPERATION WITH
2 RESEARCHERS OF DIVERSE EXPERTISE. AND WITH THIS
3 INITIATIVE, WE WILL ALSO BE TESTING WHETHER EMPHASIZING A
4 COMPREHENSIVE RESEARCH PLAN, COMPLETE WITH MILESTONES AND
5 ACTIVE TEAM MANAGEMENT, CAN RESULT IN A MORE EFFICIENT
6 PROCESS.

7 YOU SHOULD NOW BE LOOKING AT THE DISEASE TEAM
8 INITIATIVE CONCEPT, AND THERE ARE THREE BIG OVALS AT THE
9 TOP OF THE SLIDE. THE INITIATIVE HAS THREE PARTS: A
10 WORKSHOP, WHICH WAS HELD IN JULY OF 2007; A PLANNING
11 GRANT, WHICH WE ANTICIPATE IN THE FALL OF 2007; AND A
12 DISEASE TEAM GRANT ANTICIPATED IN THE SUMMER OF 2008.

13 AND THE DATES IN ORANGE OR THE ONES THAT ARE
14 ASTERISKED, IF YOU'VE PRINTED THIS OUT, ARE JUST
15 TENTATIVE DATES. THEY ARE ANTICIPATED DATES.

16 THE PURPOSE OF THE WORKSHOP WAS TO ASSEMBLE
17 RESEARCHERS AND FUNDING AGENCIES WHO ALL HAD EXPERIENCE
18 WITH TEAM-BASED PROJECTS AND HAVE THEM TELL US WHAT WORKS
19 IN THOSE SETTINGS. WE ASKED ABOUT THE SCOPE, RESOURCES,
20 PROJECT MANAGEMENT, AND FUNDING OF EFFECTIVE TEAMS, AND
21 THE STRENGTHS AND WEAKNESSES OF THOSE APPROACHES. WE
22 ALSO ASKED STEM CELL SCIENTISTS TO IDENTIFY REQUIREMENTS
23 UNIQUE TO THIS TYPE OF RESEARCH.

24 THE PURPOSE OF THE PLANNING GRANT IS TO ALLOW
25 POTENTIAL TEAMS TO ASSEMBLE AND TO PREPARE A

BARRISTERS' REPORTING SERVICE

1 COMPREHENSIVE RESEARCH PROPOSAL.

2 AND, FINALLY, IN THE SUMMER OF 2008, WE
3 ANTICIPATE THE LARGE DISEASE TEAM GRANTS, WHICH WILL BE
4 MULTIYEAR EFFORTS.

5 I'VE MOVED ON TO THE DISEASE TEAMWORK SHOP
6 SLIDE. IT WAS HELD IN JULY 2007 ON THE 25TH AND 26TH IN
7 SAN FRANCISCO, AND OUR PARTICIPANTS INCLUDED A WEALTH OF
8 EXPERTISE. WE HAD SCIENTISTS FROM ACADEMIA AND INDUSTRY,
9 WE HAD PATIENT ADVOCATE REPRESENTATIVES, REPRESENTATIVES
10 FROM FEDERAL FUNDING AND REGULATORY AGENCIES, AND ALSO
11 PRIVATE FOUNDATIONS WHICH FUND DISEASE-TARGETED RESEARCH.
12 CIRM STAFF ALSO PARTICIPATED IN THE SESSION.

13 ON THE NEXT PAGE, WHICH SHOULD LOOK LIKE A LIST
14 OF NAMES IN BOXES, IN THE TOP BOX ARE SCIENTISTS WHO
15 PARTICIPATED IN THE SESSION. AND ON THE LEFT THERE ARE
16 SIX ACADEMIC PARTICIPANTS AND FIVE WHO CAME FROM VARIOUS
17 CORPORATIONS. IN THE MIDDLE ROW ON THE LEFT, WE HAVE OUR
18 TWO PATIENT ADVOCATES WHO ARE ALSO ICOC BOARD MEMBERS AND
19 ALSO SERVE ON THE GRANTS WORKING GROUP. THANK YOU, JOAN
20 SAMUELSON AND JEFF SHEEHY, FOR PARTICIPATING WITH US.

21 WE HAD TWO PARTICIPANTS FROM THE FDA AND FOUR
22 PARTICIPANTS FROM FUNDING AGENCIES, ON THE RIGHT FROM THE
23 NIH, AND ON THE LEFT ARE THREE PARTICIPANTS FROM PRIVATE
24 FOUNDATIONS, AGAIN WHO ALL FUND DISEASE-SPECIFIC
25 RESEARCH.

BARRISTERS' REPORTING SERVICE

1 THE WORKSHOP WAS HELD OVER TWO DAYS, AND ON THE
2 FIRST DAY WE ASKED PARTICIPANTS WHO HAD WORKED WITH TEAMS
3 TO GIVE A PRESENTATION OF THEIR TEAM MODELS AND TO TELL
4 US WHAT WORKS AND, FRANKLY, WHAT DOESN'T. ON THE SECOND
5 DAY WE ASSEMBLED TO DISCUSS SPECIFIC TOPICS LISTED HERE.
6 WE ADDRESSED THE SCOPE OF DISEASE TEAMS, THE ORGANIZATION
7 OF DISEASE TEAMS, ACTIVE MANAGEMENT AND OVERSIGHT,
8 RESOURCES THAT MIGHT BE UNIQUE TO TEAMS, AND OPERATIONAL
9 CHALLENGES.

10 ON THE NEXT FEW SLIDES, WE'LL GO INTO THE KEY
11 FINDINGS FROM THESE SESSIONS. WE'RE NOW LOOKING AT
12 PROPOSED SCOPE OF DISEASE TEAMS, AND THIS IS THE SERIES
13 OF ARROWS ON YOUR SLIDE. THIS DIAGRAM REPRESENTS THE
14 PATH THAT A TYPICAL THERAPY WOULD TAKE FROM DISCOVERY
15 TOWARD CLINICAL TESTING. IN OUR WORKSHOP WE WERE FOCUSED
16 ON THE BLUE SHADED AREAS FROM LATE DISCOVERY THROUGH
17 PRECLINICAL RESEARCH AND UP TO INCLUDING SOME CLINICAL
18 ACTIVITIES.

19 WE HEARD MUCH DISCUSSION COMPARING RESEARCH AT
20 THE TWO ENDS OF THIS SPECTRUM. FOR EXAMPLE, EARLY
21 PROJECTS IN DISCOVERY HAVE VERY FLUID TASKS, AND
22 MILESTONES ARE DISCOVERY DRIVEN, AND A CLEAR PATH MAY NOT
23 BE WELL DEFINED. AT THE RIGHT END OF THE SPECTRUM, AS
24 PROJECTS APPROACH INDICATING AND CLINICAL TESTING, TASKS
25 AND MILESTONES ARE PREDICTABLE AND ARE LARGELY DRIVEN BY

BARRISTERS' REPORTING SERVICE

1 REGULATORY REQUIREMENTS.

2 WHAT WE HEARD CRYSTALIZING FROM THE DISCUSSION
3 IS THAT THE IDEAL PROJECTS FOR DISEASE TEAMS WOULD BE
4 TRANSLATIONAL EFFORTS WITH AN END POINT OF FILING AN
5 APPROVABLE IND AND ENTRY INTO CLINICAL TRIALS. AND THIS
6 LESSON CAME TO US FROM THE NATIONAL CANCER INSTITUTE'S
7 TRANSLATIONAL EFFORTS. THE EXAMPLE THAT WAS CITED THERE
8 IS IF YOU NAME THE END POINT AS FILING AN IND, YOU MIGHT
9 GET A STACK OF A HUNDRED IND'S OUT OF THE PROGRAM AND
10 RELATIVELY FEW OR NO PROJECTS IN CLINICAL TRIALS. BUT IF
11 YOU CAREFULLY ARTICULATE THE END POINT AS REQUIRING AN
12 APPROVED IND AND ENTRY INTO THE CLINICAL TRIALS, THEY HAD
13 A MUCH HIGHER SUCCESS RATE OF PROJECTS ACTUALLY ENTERING
14 THE CLINICS. SO WE WILL BE CAREFUL ABOUT HOW WE NAME
15 THAT END POINT FOR THE PROGRAM.

16 PROJECTS MIGHT START ANYWHERE UPSTREAM IN THAT
17 BLUE BAR, BUT WE THINK THAT A REASONABLE MINIMAL CRITERIA
18 FOR THESE PROJECTS WOULD BE INITIAL EVIDENCE OF DISEASE
19 MODIFYING ACTIVITY.

20 I KNOW THAT'S A LOT TO DIGEST, SO I WONDER IF
21 THIS IS AN APPROPRIATE PLACE TO PAUSE FOR QUESTIONS OR
22 COMMENTS.

23 DR. CSETE: I WANT TO WAIT TILL THE END. ONE
24 OF THE THINGS I WAS CONCERNED WITH WHEN I LOOKED THROUGH
25 THIS THE FIRST TIME, AND MAYBE CALIFORNIA IS JUST WAY

BARRISTERS' REPORTING SERVICE

1 AHEAD ON THIS, EVEN WITH AUTOLOGOUS STEM CELL THERAPIES
2 FOR UNUSUAL APPLICATIONS, I THINK ONE OF THE THINGS THAT
3 STOPS PEOPLE ON THE GROUND RIGHT NOW IS THAT IRB'S ARE
4 NOT FUNDED. I'M WONDERING IF PART OF -- THERE SHOULD BE
5 SOME EXPLICIT ADDRESSING OR EDUCATION OF IRB'S AS PART OF
6 THIS JUST SO YOU DON'T GET TO A POINT WHERE THAT'S AN
7 OBSTRUCTION ON THIS ROAD MAP.

8 DR. STEFFEN: THAT'S AN EXCELLENT POINT, AND I
9 THINK WE HEARD THAT ECHOED IN THE WORKSHOP MANY TIMES.

10 DR. BRIVANLOU: I ALSO WOULD LIKE TO SECOND
11 WHAT WE JUST HEARD BECAUSE MY EXPERIENCE ALSO IN THE
12 NORTHEAST, THAT THE LIMITING FACTORS ARE USUALLY AT THE
13 IRB LEVEL, NOT THE COMMITTEES.

14 MR. KLEIN: COULD THAT POINT BE EXPANDED A
15 LITTLE MORE FOR THE BENEFIT OF SOME OF US WHO MAY NOT
16 HAVE HEARD AS MUCH INFORMATION ON THE IRB'S AS LIMITING
17 FACTORS?

18 DR. CSETE: I CAN JUST TELL YOU LOCAL
19 EXPERIENCE. AND I THINK WE HAVE TO INCLUDE IACUC IN THIS
20 A LITTLE BIT AS WELL. SO IF YOU HAVE AN IDEA THAT YOU
21 CAN EVEN GET FUNDING FOR FROM ATYPICAL SOURCES LIKE THE
22 DEFENSE DEPARTMENT, FOR EXAMPLE, TO USE AUTOLOGOUS CELLS,
23 SO A DO NO HARM KIND OF APPROACH FOR UNUSUAL
24 APPLICATIONS, YOU CAN'T ENROLL PATIENTS UNTIL YOU HAVE AN
25 APPROVED IRB PROTOCOL OBVIOUSLY. AND THERE IS

BARRISTERS' REPORTING SERVICE

1 CONSIDERABLE VARIATION FROM INSTITUTION TO INSTITUTION,
2 AND REALLY FROM YEAR TO YEAR, I THINK, BECAUSE OF THE
3 FLUIDITY OF SOME OF THESE COMMITTEES ABOUT WHAT IS --
4 WHAT THEY WILL ALLOW IN AN INSTITUTION.

5 AND I THINK A LOT OF THE IRB'S JUST SORT OF
6 DRAW A LINE IN THE SAND AND SAY WE'RE NOT GOING TO USE
7 STEM CELLS EXCEPT FOR APPROVED HEMATOPOETIC STEM CELL
8 TRANSPLANT KINDS OF THERAPIES BECAUSE THEY ARE UNEDUCATED
9 AS TO WHAT EXACTLY THE PARTICULAR CELL IS AND WHAT
10 EXACTLY ITS POTENTIAL IS. AND THEY JUST DON'T WANT TO
11 GET INTO TROUBLE EITHER AT THE LEVEL OF FEDERAL
12 REGULATIONS. I THINK THAT DOESN'T -- THAT WON'T HAPPEN
13 BECAUSE NO ONE IS GOING TO PUT HUMAN EMBRYONIC STEM CELLS
14 IN CALIFORNIA. BUT ALSO AT THE LEVEL OF JUST THINKING
15 ABOUT PATIENT SUITS AND THINGS LIKE THIS AS PATIENTS ARE
16 ENROLLED IN PROTOCOLS.

17 SO THERE'S AN ALMOST AUTOMATIC RESPONSE TO SAY
18 NO TO INVESTIGATORS, I THINK, AT SOME INSTITUTIONS IF
19 THEY'RE REALLY TRYING TO DO SOMETHING NEW CLINICALLY EVEN
20 THOUGH IT'S CLINICALLY VERY WELL THOUGHT OUT. AND I'M
21 BEING THE OPTIMIST WHEN I SAY THAT I THINK EDUCATION OF
22 IRB'S REALLY CHANGES THIS, BUT THAT'S THE ONLY WAY THAT
23 WE CAN REALLY APPROACH IT.

24 MR. KLEIN: OS STEWARD IS THERE; IS THAT RIGHT?

25 DR. STEWARD: YES.

BARRISTERS' REPORTING SERVICE

1 MR. KLEIN: WOULD IT BE APPROPRIATE IN TERMS OF
2 THE AGENDA OF THE PRESENTER OR ARLENE, YOUR VIEW, TO HAVE
3 OS JUST COMMENT GIVEN THAT HIS INSTITUTION AT UC IRVINE
4 HAS GONE THROUGH THIS PROCESS?

5 DR. CHIU: WE'D LOVE TO HEAR DR. STEWARD IF HE
6 HAS ANY INSIGHT TO BRING TO THIS.

7 DR. STEWARD: SO CAN YOU HEAR ME? SO I GUESS,
8 BOB, YOU'RE REFERRING TO THE -- SOME OF THE PROTOCOLS
9 THAT ARE UNDER WAY THAT DON'T INVOLVE HUMAN EMBRYONIC
10 STEM CELLS. WE'RE NOT YET INVOLVED IN ANY OF THE HUMAN
11 EMBRYONIC STEM CELL CLINICAL TRIALS.

12 AND I THINK WHAT'S BEEN SAID IS REALLY
13 COMPLETELY ON THE MARK. I THINK EVERYONE NEEDS TO
14 UNDERSTAND THAT IRB'S ARE LOCALLY RUN AT THE
15 INSTITUTIONAL LEVEL, AND THERE'S A VERY STRONG COMMITMENT
16 TO THAT LOCAL CONTROL. SO I TOTALLY AGREE THAT IT IS
17 LIKELY TO BE AN ISSUE DOWN THE ROAD. I DON'T KNOW THAT
18 CIRM CAN REALLY DO ANYTHING ABOUT THAT EXCEPT PLAY MAYBE
19 A CHEERLEADER ROLE.

20 DR. CHIU: I THINK YOU BRING UP A POINT THAT WE
21 HAD NOT APPRECIATED BEFORE, THAT THE IRB'S MIGHT BE, IF
22 NOT A LIMITING FACTOR, CERTAINLY COULD BE A CHALLENGE TO
23 SOME OF THESE APPLICANTS. AND IT OCCURRED TO ME THAT
24 STEM CELL, INC., FOR EXAMPLE, IS ALREADY INTO A PHASE I
25 TRIAL USING THEIR CELLS. AND PERHAPS WE MIGHT THINK

BARRISTERS' REPORTING SERVICE

1 ABOUT GATHERING A FEW EXPERT OPINIONS ON HOW TO GET
2 THROUGH THIS.

3 IF, SAY, MAYBE JUST EVEN BEGINNING STEPS OF IF
4 WE SHOULD FUND A DISEASE TEAM, THAT WITHIN TWO YEARS OR
5 SO BEFORE THEY'RE READY TO LAUNCH, BEGIN TO WORK WITH
6 IRB'S TO GET THEM UP TO SPEED TO HELP THEM THROUGH.
7 THAT'S A VERY CRITICAL POINT THAT COULD HALT PROGRESS.

8 DR. CSETE: A MORE STABLE PERSON IN MEDICAL
9 SCHOOLS, ANYWAY, ARE THE PEOPLE WHO HEAD UP THE
10 COMPLIANCE OFFICE. AND I THINK IF YOU ARE GOING TO BE
11 WORKING WITH IRB'S, YOU SHOULD BE WORKING WITH THE
12 COMPLIANCE OFFICERS AS WELL.

13 DR. CHIU: GOOD TO KNOW.

14 DR. BRIVANLOU: THE SECOND ISSUE THAT WAS
15 BROUGHT UP ON THE IRB WAS THE IACUC ISSUE. FOR THOSE OF
16 YOU WHO DON'T KNOW WHAT IACUC IS, THIS IS A GROUP OF
17 PEOPLE DOWNSTREAM OF ALL THE APPROVALS AFTER YOU ACTUALLY
18 PASS THE COMPETITION, GET THE GRANT, AND YOU HAVE THE
19 PRIVATE SPACE TO WORK ON, AND YOU HAVE THE POST-DOCS
20 READY TO DO THE WORK, AND YOU PASS YOUR IRB. THEN THE
21 IACUC, WHICH DEALS WITH THE SAFETY AND HEALTH OF ANIMALS
22 THAT ARE USED IN EXPERIMENTS.

23 SO ONE OF THE MOST LIMITING FACTORS FROM OUR
24 SIDE HERE IN NEW YORK AND ALSO IN BOSTON, I CAN TELL YOU,
25 IS NOT THE FACT THAT LIMITATION HAS BEEN REALLY AT THE

BARRISTERS' REPORTING SERVICE

1 LEVEL OF IRB COMMITTEES, BUT SOME COLLEAGUES, SOME
2 SCIENTISTS, SOME PEOPLE THAT I RESPECT VERY, VERY MUCH,
3 HAVE A DIFFICULT TIME TO ACCEPT IN VIVO EXPERIMENTS THAT
4 MIGHT BE REQUIRED TO PURSUE THE FIELD AT THE BASIC
5 RESEARCH ON THE SAME STANDARD AS WHAT HAS BEEN USED AND
6 WE'VE BEEN USING FOR LONG, LONG TIME IN MODEL SYSTEMS.

7 THIS IS NOT SOMETHING THAT ADMINISTRATIVELY CAN
8 BE SOLVED UNFORTUNATELY. AND I THINK IT CONSTITUTES ONE
9 OF THE MOST BASIC ELEMENTS OF WHAT I WAS TALKING ABOUT
10 BEFORE ABOUT SELF-CENSORSHIP. PEOPLE DON'T COME BECAUSE
11 THEY'RE NOT INTERESTED OR THEY DON'T HAVE THE TALENT.
12 THE REAL IMPETUS IS SO MANY HURDLES THAT THEY HAVE TO
13 OVERCOME, THAT IT MAKES THEM (UNINTELLIGIBLE).

14 DR. CSETE: I WAS ABOUT TO GO TO IACUC, ALI, SO
15 I REALLY APPRECIATE THAT YOU ALWAYS ARE MUCH MORE ELEGANT
16 IN YOUR SPEECH THAN I AM, BUT I THINK IT'S A BIG PROBLEM.

17 MR. KLEIN: AND HOPEFULLY, OS, THROUGH HANS
18 KEIRSTEAD AND HIS INTERFACE WITH GERON, YOU COULD PROVIDE
19 INSTITUTIONALLY THROUGH YOUR TEAMS THERE THAT ARE WORKING
20 WITH GERON SOME INSIGHTS THAT WOULD BE HELPFUL.

21 DR. STEWARD: WELL, I WOULD JUST HAVE TO SAY,
22 BOB, THAT AT THE MOMENT UC IRVINE IS NOT ONE OF THE SITES
23 THAT IS BEING -- THAT IS BEING PROPOSED AS A SITE FOR
24 GERON'S CLINICAL TRIALS. SO, IN FACT, WE'RE NOT GOING TO
25 BE DIRECTLY INVOLVED IN THAT AT THE MOMENT.

BARRISTERS' REPORTING SERVICE

1 IF I COULD JUST SAY SOMETHING, THOUGH, ABOUT
2 THE IACUC. THE ONE QUESTION ABOUT CALIFORNIA THAT MAYBE
3 WE ARE A LITTLE BIT AHEAD IS THAT THERE ARE DISCUSSIONS
4 UNDERWAY AT THE IACUC LEVEL AND ALSO OCCASIONALLY AT THE
5 IRB LEVEL OF LET'S CALL THEM SORT OF DOWNLOADABLE
6 PROTOCOLS THAT PEOPLE, AND I'LL JUST GIVE YOU AN EXAMPLE,
7 MAYBE DOING SPINAL CORD INJURY RESEARCH IN RODENTS,
8 TRANSPLANTING CELLS IN, RATHER THAN JUST STARTING ALL
9 OVER AGAIN WHEN YOU WRITE YOUR IACUC PROTOCOL, HAVING A
10 UC SYSTEMWIDE DOWNLOADABLE FORM THAT SORT OF FILLED IN
11 ALL THE CRITICAL INFORMATION.

12 STILL THE CONTROL WOULD BE AT THE LOCAL
13 INSTITUTIONAL LEVEL, BUT AT LEAST YOU WOULD THEN BE
14 COMFORTABLE THAT SOME OTHER INSTITUTION HAD SIGNED OFF ON
15 THIS.

16 THERE IS DISCUSSION ABOUT DOING THAT AT THE IRB
17 LEVEL AS WELL, ALTHOUGH I THINK THAT'S NOT AS FAR ALONG
18 AS THE IACUC LEVEL BECAUSE THEY'RE SORT OF TRADITIONALLY
19 MUCH MORE, I THINK, FEELING OF THE NEED FOR LOCAL CONTROL
20 AT THE IRB LEVEL.

21 HAVING SAID THAT, WE'RE STILL ONLY TALKING
22 ABOUT UC SYSTEMS, BUT I THINK IF THAT BEHEMOTH ACTUALLY
23 GETS GOING, THEN THE OTHER INSTITUTIONS MIGHT WANT TO
24 PLAY ALONG. AGAIN, I THINK CIRM MIGHT BE ABLE TO HELP IN
25 THAT REGARD AS SORT OF A REPOSITORY OF SOME OF THIS

BARRISTERS' REPORTING SERVICE

1 INFORMATION IF IT WAS POSSIBLE TO DO.

2 DR. CHIU: YES. I HAD NOT THOUGHT ABOUT THIS,
3 BUT I THINK THAT'S A GREAT POINT. AND BETTINA POINTED
4 OUT TO ME THAT THAT ISSUE, IN GENERAL, WAS RAISED ABOUT
5 PROVIDING INFORMATION TO IACUC, TO IRB MEMBERS, TO
6 REGULATORY ELEMENTS, LOCAL REGULATORY ELEMENTS, SO THAT
7 THEY'RE ON THE SAME PAGE. AND I THINK IF THE UC SYSTEM
8 HAS CERTAIN STANDARD FORMS OR TEMPLATES, AND IF THERE WAS
9 AN OCCASION FOR THESE GROUPS TO COME TOGETHER ONCE A YEAR
10 OR SOMETHING AND SHARE EXPERIENCES AND STANDARDS, MAYBE
11 THAT WOULD HELP THIS FORWARD OR AT LEAST SMOOTH THE PATH
12 FOR IT AND MAKE IT MORE CONSISTENT.

13 I DON'T KNOW IF THAT WOULD WORK OR NOT. IF THE
14 GRANTS WORKING GROUP MEMBERS IN THEIR PERSONAL EXPERIENCE
15 HAVE OTHER SUGGESTIONS TO GET OVER THESE REGULATIONS OR
16 REGULATORY CONSIDERATIONS, WE WOULD REALLY APPRECIATE
17 HEARING THEM EARLY.

18 DR. CSETE: SORT OF ALONG THE SAME LINES, ONE
19 OF THE THINGS THAT REALLY DISTURBS ME IN THE PROCESS OF
20 GOING FROM BENCH TO ANIMAL EXPERIMENT TO HUMAN IS KIND OF
21 AN INTRANSIGENCE ON THE PART OF IACUC'S AND VETERANARIANS
22 THAT WORK WITH THEM, THAT THERE'S ANIMAL-SPECIFIC
23 ANESTHETICS, FOR EXAMPLE. HERE WE ARE PLANNING TO PUT X
24 CELLS INTO A HUMAN FOR A THERAPY, AND OUR ANIMAL MODELS
25 ARE TREATED COMPLETELY DIFFERENTLY IN THE PROTOCOL

BARRISTERS' REPORTING SERVICE

1 BECAUSE OF THE WAY IACUC'S ARE INFLUENCED BY THE
2 VETERINARIANS THAT CONTROL THEIR REGULATIONS.

3 SO I JUST THINK THAT PART OF THIS EDUCATIONAL
4 PROCESS IS MOVING, YOU KNOW, LONG HISTORIES OF THE WAY
5 ANIMALS ARE HANDLED IN ORDER TO VIRTUALLY REPRESENT
6 WHAT'S GOING TO HAPPEN TO A PATIENT WHO'S UNDERGOING THE
7 SAME THERAPY. AND IF WE DON'T EMPHASIZE THAT AS PART OF
8 THE RFA, IT'S NOT GOING TO CHANGE.

9 DR. CHIU: THAT'S A THOUGHT.

10 DR. BONNER-WEIR: I WANTED TO ASK, LISTENING TO
11 THAT, IN THAT I AM ON OUR IACUC HERE, AND WHEN WE HAVE A
12 VERY DIFFICULT ISSUE ABOUT A PROTOCOL THAT SOMEBODY IS
13 WANTING, WHAT HELPS US IS TO KNOW WHAT OTHER INSTITUTIONS
14 HAVE DONE. SO I THINK WHAT YOU WERE SAYING, THAT IF
15 THERE'S SORT OF A UC-WIDE THING THAT ACTUALLY DOES GO
16 THROUGH, THEN THAT ACTUALLY, AS LONG AS IT'S MADE
17 AVAILABLE AT A MEETING, MAYBE NOT, BUT AT LEAST ALL THE
18 STEM CELL FUNDED PEOPLE FROM CIRM CAN BE ALERTED THERE
19 ARE THESE TEMPLATES AVAILABLE THAT HAVE GONE THROUGH AND
20 HAVE BEEN VETTED BY THE UC SYSTEM ALREADY THAT MAY HELP
21 YOU IN THIS REGARD.

22 THAT WOULD BE -- THAT WOULD BE AN EFFECTIVE WAY
23 TO GET SOME OF THE INFORMATION OUT.

24 DR. CHIU: WE COULD CERTAINLY TRY TO HELP THAT
25 PROCESS ALONG ON A PARALLEL TRACK.

BARRISTERS' REPORTING SERVICE

1 DR. MURPHY: BETTINA, THIS NOTION OF INITIAL
2 EVIDENCE OF DISEASE MODIFYING ACTIVITY, OBVIOUSLY WE ALL
3 KNOW WHY THAT'S THERE. BUT WHAT LOOKS PROMISING TODAY
4 TOMORROW MAY BE A FALSE POSITIVE OR IT JUST MAY NOT WORK
5 OUT; AND, THEREFORE, BY THAT TIME YOU MAY HAVE A GROUP
6 THAT'S ALREADY FUNDED AND TOGETHER, WHICH MAY BE
7 PRODUCTIVE OR MAY NOT BE PRODUCTIVE.

8 THE OTHER WAY OF LOOKING AT THIS WOULD BE TO
9 TAKE THE POWER OF A MULTIDISCIPLINARY TEAM FROM BASIC TO
10 CLINICAL AND HAVE THEM IDENTIFY IN A DISEASE OR IN A
11 FIELD WHAT THE BOTTLENECKS IN THAT FIELD ARE. AND
12 ALTHOUGH THEY MAY NOT HAVE ANYTHING THAT AS YET IS
13 DISEASE MODIFYING ACTIVITY, BRINGING THESE PEOPLE
14 TOGETHER WITH INNOVATIVE APPROACHES TO IDENTIFYING THE
15 BOTTLENECKS AND COMING UP WITH STRATEGIES TO BREAK
16 THROUGH THE BOTTLENECKS MAY ALSO BE VALUABLE.

17 I'M WONDERING IN THE COURSE OF THE WORKSHOPS,
18 DID YOU GO EARLIER THAN DISEASE MODIFYING ACTIVITY, AND
19 WHAT WAS THE FEELING OF THE COMMITTEE?

20 DR. STEFFEN: WE CERTAINLY HEARD THE SAME
21 SITUATION YOU DESCRIBED ABOUT BOTTLENECKS. FOR EXAMPLE,
22 IF YOU ASSEMBLED A TEAM AROUND -- I'M JUST GOING TO PICK
23 IT OUT OF THE AIR WITH NO SPECIFICITY -- CARDIOVASCULAR
24 DISEASE, AND IF YOU IDENTIFY THE BOTTLENECKS, AND IF THAT
25 TEAM SPECIFICALLY WORKED ON BOTTLENECKS, THAT COULD

BARRISTERS' REPORTING SERVICE

1 POTENTIALLY HAVE A LOT OF ADVANTAGE TO MANY OTHER
2 RESEARCHERS AND TEAMS ASSEMBLING TO WORK ON A THERAPY
3 SPECIFIC.

4 SO THAT WAS DEFINITELY RECOGNIZED. ARLENE, I
5 DON'T REMEMBER WHERE WE CAME OUT ON WHERE THAT FITS INTO
6 OUR PROGRAMS. WE FELT IT FIT.

7 DR. CHIU: THIS IS NOT THE ONLY PROGRAM THAT
8 TARGETS DISEASES, BUT WE CERTAINLY HEARD THAT THERE WERE
9 MANY OTHER APPROACHES, SOME OF WHICH MAY NOT LEAD
10 DIRECTLY TO AN IND AS WE PICTURED HERE, BUT WILL ADDRESS
11 BOTTLENECKS. AND WE SAW IN THE STRATEGIC PLAN THAT THERE
12 IS ALSO A DIFFERENT PROGRAM CALLED TRANSLATIONAL RESEARCH
13 WHERE THEY COULD NOW TARGET A TEAM, FOR RESEARCH TEAMS,
14 TARGET CERTAIN ASPECTS. SOMEBODY BROUGHT UP THE IDEA OF
15 DELIVERY OF CELLS. IT DOESN'T HAVE TO BE FOR ANY
16 PARTICULAR DISEASE, BUT JUST THE CONCEPT OF DELIVERY,
17 SAFE DELIVERY, UTENSILS FOR DELIVERY, APPROACHES AND
18 MEASURES IS ANOTHER RESEARCH IDEA.

19 THOSE WOULD COME, WE THINK, AFTER THE
20 DISCUSSION THAT MIGHT FIT BETTER UNDER THE TRANSLATIONAL
21 RESEARCH PROGRAM SO THAT WE WILL HAVE DIFFERENT SORT OF
22 NARROWER FOCUS FOR EACH PROGRAM AND TARGET THOSE IN
23 SLIGHTLY DIFFERENT WAYS. WE DO WANT TO COVER THE
24 WATERFRONT. AND SO IF PEOPLE PROPOSE SUCH THINGS, WE
25 WANT TO ACCOMMODATE IT THROUGH PROGRAMS THAT ARE DESIGNED

BARRISTERS' REPORTING SERVICE

1 AROUND THAT KIND OF APPROACH, FOR EXAMPLE.

2 DR. STEFFEN: IF THERE ARE NO MORE COMMENTS,
3 WE'LL MOVE ON. I PROMISE YOU YOU WILL HAVE THE
4 OPPORTUNITY AGAIN.

5 WE'RE MOVING TO TEAM COMPOSITION. AND WE HEARD
6 THREE KEY MESSAGES HERE. THE DIVERSE SET OF SKILLS IS
7 NEEDED TO DEVELOP THE STEM CELL-BASED THERAPIES, AND I
8 THINK WE'RE HEARING EVIDENCE OF THAT IN OUR OWN
9 DISCUSSION HERE TODAY. AND PARTICIPANTS ALSO WANTED US
10 TO RECOGNIZE THAT PERSONNEL MAY NOT ALL BE AVAILABLE
11 WITHIN A SINGLE ORGANIZATION AT ANY ONE TIME.

12 THE SECOND POINT THEY BROUGHT UP WAS THAT AN
13 ENGAGED AND ENERGETIC LEADER IS ESSENTIAL FOR TEAM
14 RECRUITMENT, MOTIVATION, AND SUCCESS. WE'LL TALK ABOUT
15 SOME QUALITIES THEY IDENTIFIED FOR THE LEADER.

16 AND THIRD, A PROJECT MANAGER WAS IDENTIFIED AS
17 HIGHLY DESIRABLE TO HELP COORDINATE THESE LARGE TEAMS OF
18 MULTIDISCIPLINARY INVESTIGATORS. WE'LL GO THROUGH THESE
19 ON THE NEXT FEW SLIDES.

20 YOU SHOULD BE LOOKING AT A LIST OF TEAM
21 EXPERTISE. AND THESE WERE THE TYPES OF EXPERTS
22 IDENTIFIED THAT WOULD BE INVOLVED IN TRANSLATIONAL
23 PROJECTS. AND STARTING IN THE UPPER LEFT CORNER ARE THE
24 MORE BASIC AND DISCOVERY-ORIENTED RESEARCHERS, MOVING
25 DOWN AND TO THE RIGHT IS THE EXPERTISE THAT IS NEEDED FOR

BARRISTERS' REPORTING SERVICE

1 CLINICAL TRIALS. AND ULTIMATELY THE TEAM COMPOSITION
2 WOULD DEPEND ON THE SCOPE OF THE PROJECT, THE OBJECTIVES,
3 AND THE DISEASE ITSELF, AND NOT ALL PROJECTS WOULD
4 NECESSARILY NEED ALL THESE PARTICIPANTS.

5 THE OTHER OBSERVATION THAT THE PARTICIPANTS
6 MADE IS THAT TEAMS WOULD BE DYNAMIC AS THE PROJECT
7 PROGRESSED CLOSER TO CLINICAL TESTING. AS AN EXAMPLE,
8 YOUR REGULATORY SPECIALIST MIGHT BE AN OBSERVER IN THE
9 VERY EARLY STAGES AND PROVIDE SMALL, BUT IMPORTANT INPUT;
10 BUT TOWARD THE END, AS YOU MOVE TOWARD CLINICAL TRIALS,
11 THEIR INPUT WOULD BE CRITICAL TO MOVING THE PROJECT
12 ALONG.

13 ON LEADERSHIP WE HEARD FROM OUR NIH
14 REPRESENTATIVE THAT THE SUCCESS OF THE TRANSLATIONAL
15 PROGRAM THERE DEPENDS ON THREE THINGS: ONE, THE TEAM'S
16 EXPERIENCE WITH THERAPEUTIC DISCOVERY AND DEVELOPMENT;
17 TWO, THE INVOLVEMENT OF THE AGENCY AND STAFF; AND, THREE,
18 THE COMMITMENT OF THE PI. SO WE IDENTIFY AS AN IMPORTANT
19 LEADERSHIP CHARACTERISTIC COMMITMENT OF THE TEAM LEADER.
20 AND IN ORDER TO ATTRACT THE TOP LEADERS, STABLE FUNDING
21 IS CRITICAL.

22 WE HEARD FROM OUR PARTICIPANTS THAT THESE
23 LONGER TERM PROJECTS MIGHT BE MORE RISKY AND NEED TO
24 SHARE A LOT OF THE SUCCESSES AMONG PEOPLE SO THAT THE ONE
25 THING THAT COULD ATTRACT THE TOP LEADERS WAS ACTUALLY THE

BARRISTERS' REPORTING SERVICE

1 LENGTHY, STABLE FUNDING.

2 AND, FINALLY, IN ORDER TO COMMAND RESPECT FROM
3 THE TEAM, THE LEADER WOULD NEED TO BE A PRACTICING
4 SCIENTIST WHO'S IN GOOD STATURE AND WHOSE LAB IS INVOLVED
5 IN A PROJECT AND WHO ORCHESTRATES RATHER THAN DICTATES
6 THE DEVELOPMENT OF THE RESEARCH PLAN AND WHO IS A LEADER
7 AMONG EQUALS. AND THIS IS REALLY DESCRIBED AS SOMEONE
8 WHO IS MOTIVATED BY THE MISSION OF THE PROJECT RATHER
9 THAN THEIR OWN INTEREST.

10 WE HAD MUCH DISCUSSION ON THE CONCEPT OF ACTIVE
11 MANAGEMENT AND OVERSIGHT OF THESE PROJECTS. AND WHAT WE
12 CONSISTENTLY HEARD IS THAT, ONE, THERE'S A ROLE FOR A
13 PROJECT MANAGER AND THAT EXECUTIVE COMMITTEES SHOULD BE
14 INVOLVED. AND, HOWEVER, I WILL TELL YOU FOR EVERY TIME
15 WE HEARD THE PROJECT MANAGER AND THE EXECUTIVE COMMITTEES
16 INVOLVED, THE ROLES, RESPONSIBILITIES, AND COMPOSITION
17 VARIED SIGNIFICANTLY AMONG THE TEAMS AND ORGANIZATIONS
18 WHO PRESENTED THEIR MODELS.

19 EXECUTIVE COMMITTEES, THERE MIGHT BE TWO TYPES.
20 AN ADVISORY COMMITTEE MIGHT BE APPOINTED BY THE TEAM, BE
21 VERY SCIENTIFIC IN NATURE, AND CAN HELP THE RESEARCH
22 PROGRESS IN A DESIRED DIRECTION. IN THE OVERSIGHT
23 COMMITTEES, MOST OF THE MODELS THAT WE HEARD WERE
24 THIRD-PARTY MEMBERS AND MIGHT BE EXPERTS IN THE AREA AND
25 MIGHT BE ASSEMBLED BY THE FUNDING AGENCY TO HELP WITH

BARRISTERS' REPORTING SERVICE

1 OVERSIGHT AND EVALUATION.

2 IN ORDER FOR THE MANAGEMENT AND OVERSIGHT
3 MECHANISMS TO BE EFFECTIVE, WE HEARD THAT THERE NEEDS TO
4 BE CLOSE COMMUNICATION BETWEEN PROJECT LEADERSHIP AND THE
5 FUNDING AGENCY. WE ALSO HEARD LOUD AND CLEAR THAT IT
6 SHOULD NOT BE BURDENSOME WRITTEN COMMUNICATION, BUT
7 POSSIBLY SOMETHING LIKE WEEKLY TELECONFERENCES.

8 FINALLY, PERIODIC EVALUATIONS AGAINST A PROJECT
9 PLAN AND MILESTONES WOULD NEED TO BE CONDUCTED. AND
10 THESE EVALUATIONS WOULD HAVE THREE POSSIBLE OUTCOMES.
11 THERE WOULD BE THE POTENTIAL TO MOVE SUCCESSFUL PROJECTS
12 FURTHER ALONG DOWN TOWARD THE CLINIC. THERE WOULD BE
13 REORIENTING OF PROJECTS, IF FEASIBLE. AND FINALLY,
14 FAILURE TO MEET CRITICAL MILESTONES COULD RESULT IN
15 PROJECT TERMINATION.

16 NOW, WHAT WE GOT FROM THE SENSE OF THE
17 PARTICIPANTS IN THE ROOM, THAT THIS IS A DEPARTURE FROM
18 TRADITIONAL GRANT FUNDING, BUT IT'S NOT UNCOMMON IN
19 INDUSTRY.

20 THE OTHER TAKE-HOME MESSAGE IS THAT THIS
21 CONCEPT OF ACTIVE MANAGEMENT AND DOING IT WELL IS VERY
22 RESOURCE INTENSIVE.

23 I'VE MOVED ON TO RESOURCES. AND BEYOND PEOPLE
24 AND RESEARCH BUDGETS, WE ASKED THE TEAMS WHAT THEY WOULD
25 NEED. WE HEARD SOME TEAM-SPECIFIC RESOURCES, WHICH MIGHT

BARRISTERS' REPORTING SERVICE

1 INCLUDE COMMUNICATION TECHNOLOGIES AND DATA SHARING
2 TECHNOLOGIES. WE WERE GIVEN EXAMPLES OF TEAM RESEARCH
3 WEBSITES, NETWORKS THAT USED VIDEOCONFERENCING, NOT ONLY
4 FOR THEIR SCIENTIFIC MEETINGS, BUT ALSO FOR THEIR
5 EVALUATION MEETINGS, AND THE NEED FOR DISTRIBUTED DATA.
6 WE HEARD STEM CELL SPECIFIC RESOURCES, CORE SERVICES, AND
7 I THINK WE HEARD TWO MORE EXAMPLES TODAY AROUND
8 ASSISTANCE WITH THE IRB AND THE IACUC, GMP, GLP, AND
9 REGULATORY SERVICES ALSO CAME UP FREQUENTLY FROM THE
10 PARTICIPANTS.

11 AND FINALLY, IT WAS IDENTIFIED THAT THE
12 REGULATORY REQUIREMENTS REALLY DRIVE MANY OF THE
13 PRECLINICAL PROJECT DEVELOPMENT NEEDS. AND I THINK THE
14 ISSUE HERE WAS NOT JUST RECOGNIZING THAT REGULATORY
15 DRIVES THE REQUIREMENTS, BUT ALSO THAT THE REGULATORY
16 EXPERTISE, IN PARTICULAR IN TAKING CELLULAR THERAPY
17 PRODUCTS TO TRIAL, IS VERY, VERY LIMITED AT THIS STAGE IN
18 THIS EARLY DEVELOPING FIELD.

19 FINALLY, WE DISCUSSED OPERATIONAL CHALLENGES
20 FOR THESE MULTIDISCIPLINARY AND POSSIBLY
21 INTERINSTITUTIONAL TEAMS. AND THE FIRST POINT THAT THE
22 PARTICIPANTS BROUGHT UP WAS THAT THE METRICS FOR SUCCESS
23 AND REWARD SYSTEMS ARE DIFFERENT FOR TEAM PROJECTS
24 COMPARED TO INDIVIDUAL SCIENTIST PROJECTS. AN EXAMPLE
25 THEY GAVE WAS THAT INDIVIDUAL SCIENTISTS GET MEASURED BY

BARRISTERS' REPORTING SERVICE

1 THE NUMBER OF R01 GRANTS AND THE PRIME PUBLICATIONS THAT
2 THEY BRING IN TO THE INSTITUTION VERSUS TEAMS WHICH NEED
3 TO BE MORE INCENTED TO ACHIEVE A TEAM GOAL.

4 SO, THEREFORE, THEY ADVISED US THAT GUIDELINES
5 GOVERNING DATA SHARING AND PUBLICATION SHOULD BE DEFINED
6 UP FRONT. SOME CONSORTIA PUBLISH ALPHABETICALLY, SOME
7 PUBLISH UNDER A GROUP NAME, AND OTHERS CARVE UP THE
8 PROJECT INTO AREAS OF INDIVIDUAL LEADERSHIP FOR ELEMENTS.
9 SO THE MESSAGE WE TOOK FROM THAT IS NOT THAT WE SHOULD
10 LISTEN TO WE CAN'T DO THAT, BUT OFFER SOME OF THESE
11 POSSIBILITIES AS WAYS TO SUPPORT TEAM-BASED RESEARCH.

12 AND FINALLY, TEAMS NEED TO ESTABLISH
13 WELL-DEFINED RULES REGARDING INTELLECTUAL PROPERTY
14 OWNERSHIP UP FRONT. AND THIS WILL BE ESPECIALLY TRUE IF
15 WE ENCOUNTER NOT-FOR-PROFIT AND FOR-PROFIT PARTNERSHIPS,
16 WHICH DOES HAVE A PRECEDENT IN SMALL MOLECULE DRUG
17 DEVELOPMENT, SO WE CAN ANTICIPATE THAT WE MIGHT SEE TEAMS
18 FORMING LIKE THAT.

19 THAT'S THE CONCLUSION OF OUR PRESENTATION ON
20 THE WORKSHOP FINDINGS, AND WE'D LIKE TO INVITE DISCUSSION
21 AND COMMENTS ON THE REPORT.

22 DR. CHIU: FOR THE GRANTS WORKING GROUP, ARE
23 THERE ANY THOUGHTS OR COMMENTS ON THE SUMMARY OF THE
24 WORKSHOP OR ANY GUIDANCE THAT WE CAN GET FROM YOU?

25 CHAIRMAN ORKIN: I THINK IT'S QUITE A

BARRISTERS' REPORTING SERVICE

1 THOUGHTFUL SUMMARY. I THINK BEST COMMENTS WILL COME FROM
2 THOSE WHO HAVE ACTUALLY DEALT WITH IND'S AND IRB'S
3 INTIMATELY AND CAN PROVIDE SOME DIRECT INPUT.

4 DR. CHIU: I SHOULD MENTION THAT ALL OF YOUR
5 THOUGHTS AND COMMENTS WILL BE INCLUDED -- WE HAVE A
6 PRELIMINARY REPORT WITH MORE DETAIL OF THE WORKSHOP THAT
7 IS IN THE WORKS. WE WOULD LIKE TO INCLUDE ANY COMMENTS
8 FROM YOU OR FROM THE PUBLIC NOW REGARDING DISEASE TEAMS
9 THAT WE CAN INCLUDE AS A POSTSCRIPT TO THAT REPORT. AND
10 THAT REPORT WILL BE PRESENTED TO THE ICOC AS WELL AS MADE
11 PUBLIC ON OUR WEBSITE WHEN IT IS READY.

12 DR. CSETE: THIS IS MORE AN ASIDE. I JUST WANT
13 TO SAY THAT THIS RFA, WHEN IT COMES OUT, IS EXACTLY THE
14 REASON WHY I AGREED TO BE ON THE GRANTS WORKING GROUP.

15 DR. CHIU: ALL RIGHT.

16 DR. CSETE: AS A SCIENTIST, THIS IS THE MOST
17 EXCITING THING TO SEE. I THINK YOU GUYS ARE IN A
18 POSITION TO REALLY PUSH THIS IN A WAY THAT OTHER BODIES
19 HAVE NEVER BEEN ABLE TO DO.

20 DR. CHIU: I'M DELIGHTED TO HEAR THAT. ALSO,
21 BECAUSE IT IS SO NEW AND WE'RE SORT OF TESTING THE
22 WATERS, YOU SAW WHAT BETTINA SAID, THAT WE'RE GOING TO
23 FIRST COME OUT AND ASK THE ICOC FOR APPROVAL OF PLANNING
24 GRANTS, WHICH ARE SMALL, ONE-TIME-ONLY FUNDING SO THAT
25 PEOPLE CAN ASSEMBLE TEAMS AND MOVE THEM FORWARD AND

BARRISTERS' REPORTING SERVICE

1 GATHER ALL THIS IN PREPARATION FOR A REALLY RESOUNDINGLY
2 SOLID, WELL-THOUGHT OUT PROPOSAL.

3 AND THE OTHER THING THAT WE'RE TRYING TO THINK
4 ABOUT IS IN THE BEGINNING TO MOVE CAUTIOUSLY AND ONLY
5 AWARD FOR THE BIG, LONG-TERM DISEASE TEAM GRANTS WHICH
6 WILL COME TO YOU FOR REVIEW, ONLY THE VERY BEST.

7 SO THESE PLANNING GRANTS, WE HOPE, WOULD
8 FERTILIZE A LOT OF IDEAS. AND EVEN IF THEY DON'T
9 EVENTUALLY END UP WITH A DISEASE TEAM GRANT FROM US, THEY
10 MAY LAUNCH DISEASE TEAM GRANTS TO OTHER FUNDING ENTITIES,
11 THE NIH OR OTHER FOUNDATIONS, FOR EXAMPLE. SO WE HOPE WE
12 CAN GENERATE A LOT OF THOUGHT ABOUT DISEASE TEAMS.

13 DR. WILLIAMS: I THINK THIS IS ACTUALLY QUITE
14 GOOD AND VERY COMPREHENSIVE. I GUESS I WOULD ADD ONE
15 THING THAT'S NOT TOUCHED ON QUITE AS MUCH HERE MAYBE.
16 ONE OF THE PROGRAMS THAT WE INITIATED HERE AT CINCINNATI
17 WAS ACTUALLY A SPECIAL TRIALS OFFICE THAT DEVELOPED
18 EXPERTISE IN IND'S AND DISCUSSIONS WITH THE FDA AND ESAP
19 AND RAC FOR GENE THERAPY. AND THAT HAS BECOME ENORMOUSLY
20 HELPFUL TO SCIENTISTS. AND, IN FACT, I THINK WE'RE NOW
21 SUPPORTING 17 IND'S OVER THE LAST FOUR YEARS.

22 IT JUST PROVIDES A LEVEL OF COMFORT FOR MORE
23 BASIC SCIENTISTS WHO HAVEN'T EVER WORKED IN HUMAN TRIALS
24 TO HAVE A LOCAL OFFICE THAT'S QUITE COMFORTABLE TALKING
25 WITH THE FDA ON LOTS OF DIFFERENT ISSUES. AND IT MIGHT

BARRISTERS' REPORTING SERVICE

1 BE A PARADIGM THAT COULD BE THOUGHT ABOUT IN THE CONTEXT
2 OF HOW YOU'RE ALSO SETTING UP THIS PROGRAM.

3 AND I THINK, IN ADDITION TO WHAT YOU'VE ALREADY
4 GOT IN YOUR SLIDES ON TEAM BUILDING, I THINK IN HAVING
5 DONE NOW QUITE A FEW GENE THERAPY TRIALS, IT STRIKES ME
6 THAT ONE OF THE THINGS THAT'S HELPED THE MOST IN OUR
7 TRIALS IS TO HAVE A DISEASE-SPECIFIC SCIENTIST WITH A LOT
8 OF EXPERTISE IN A PARTICULAR DISEASE PAIRED WITH SOMEONE
9 WHO UNDERSTANDS THE TECHNOLOGY AT THE BASIC LEVEL. AND
10 THAT BEING THE LEADERSHIP PART OF THE TEAM BASICALLY.

11 DR. CHIU: A TWO-LEADER, ONE COVERING DIFFERENT
12 AREAS, THEN, IN THE TEAM.

13 DR. WILLIAMS: I THINK THE TECHNOLOGIES AND
14 FURTHER TYPES OF THINGS THAT YOU'RE TRYING TO SUPPORT
15 HERE ARE IN AND OF THEMSELVES QUITE COMPLICATED. AND ON
16 THE OTHER HAND, FOR MANY DISEASES, THE DISEASES
17 THEMSELVES ARE QUITE COMPLICATED. AND SO HAVING -- IF
18 YOU THINK ABOUT IT, HAVING ONE PERSON WHO'S GOING TO HAVE
19 THE BREADTH AND DEPTH OF KNOWLEDGE IN BOTH THOSE AREAS IS
20 UNLIKELY.

21 DR. CSETE: I THINK IF YOUR LIST OF MEMBERS OF
22 TEAMS IS MEANT TO BE INCLUSIVE, THEN ONE THING TO
23 CONSIDER ADDING THERE IS -- THERE'S ALL THIS WONDERFUL
24 MODELING AND SIMULATION COMING OUT OF SYSTEMS BIOLOGY.
25 SO STATISTICIAN DOESN'T REALLY COVER IT. SO JUST AS

BARRISTERS' REPORTING SERVICE

1 ENGINEERS OR OTHER MATHEMATICIANS OR SOMETHING SHOULD BE
2 PART OF THIS LIST AS WELL.

3 DR. CHIU: THANK YOU. DR. WILLIAMS, COULD YOU
4 PROVIDE US WITH A LITTLE BIT MORE INFORMATION ABOUT THE
5 SPECIAL TRIALS OFFICE? HOW MANY PEOPLE ARE IN THERE?
6 AND WHAT KINDS OF EXPERTISE DO THEY HAVE JUST TO GIVE US
7 A BETTER IDEA?

8 DR. WILLIAMS: IT'S VERY SIMILAR TO WHAT MANY
9 INSTITUTIONS HAVE, I THINK, CLINICAL TRIALS OFFICE,
10 ESPECIALLY FOR CANCER CENTERS. HERE AT CINCINNATI IT HAS
11 NOW, I THINK, UP TO EIGHT FTE'S, AND THAT INCLUDES
12 REGULATORY EXPERTISE, MEDICAL WRITER, AND RESEARCH NURSE
13 AND RESEARCH COORDINATORS, AND THEN BIOSTATISTICS. AND
14 THAT'S VERY SIMILAR TO MANY OTHER CLINICAL TRIALS OFFICES
15 EXCEPT SMALLER.

16 AND THE WAY WE HAVE FOCUSED IT HERE IS, BECAUSE
17 WE DO HAVE A CLINICAL TRIALS OFFICE, IS THAT WE SORT OF
18 SEPARATE OUT THE NEED FOR AN IND AS BEING WHAT YOU NEED
19 TO GET INTO THIS OFFICE FOR ASSISTANCE. IF YOU ARE GOING
20 TO NEED AN IND, THEN THIS IS WHERE YOU GO. SO THE
21 REGULATORY EXPERTISE IN THIS PARTICULAR OFFICE IS HEAVILY
22 ON THE SIDE OF DEALING WITH THE FDA AND DEALING WITH CTAP
23 AND RAC AND THAT TYPE OF THING, THE NIH RECOMBINANT DNA
24 ADVISORY COMMITTEE.

25 DR. CHIU: I WAS JUST WRITING IT DOWN.

BARRISTERS' REPORTING SERVICE

1 DR. BONNER-WEIR: I KNOW OF THINGS LIKE THE
2 IMMUNE TOLERANCE NETWORK, WHICH IS FUNDED BY NIH. AND I
3 THINK YOU CAN CALL IT SOME OTHER FOR PRIVATE
4 INSTITUTIONS. BUT IT OVERSEES A NUMBER OF CLINICAL
5 TRIALS FOR DIABETES. AND ONE OF THE THINGS THAT THEY
6 HAVE, THEY HAVE AN OFFICE IN THAT NETWORK. SO IT MAY BE
7 THAT IF YOU HAD THIS SORT OF OFFICE WITHIN CIRM FOR THIS
8 EXPERTISE SO THAT DIFFERENT PLACES, BECAUSE THE STEM
9 CELLS THEMSELVES ARE GOING TO HAVE A NUMBER OF DIFFERENT
10 REGULATORY ISSUES, MANY OF WHICH HAVE NOT BEEN WORKED OUT
11 AS YET, BUT IF YOU HAD FOCUSED ON THAT OR ONE PLACE THAT
12 WAS SORT OF THE RESOURCE OF THE STATE OF CALIFORNIA, THAT
13 MAY HELP THINGS EVEN FURTHER.

14 DR. CHIU: SO A MORE CENTRALIZED RESOURCE FOR
15 ALL CALIFORNIA INVESTIGATORS, KIND OF.

16 DR. BONNER-WEIR: RIGHT. OBVIOUSLY YOU STILL
17 COULD HAVE THESE LOCAL, BUT THE WHOLE ISSUE ABOUT CELL --
18 THE REGULATIONS ABOUT CELL-BASED THERAPY IS REALLY NEW
19 AND HASN'T REALLY BEEN WORKED OUT. I KNOW IN THE ISLET
20 TRANSPLANTATION FIELD, THEY HAVE BEEN WORKING SORT OF
21 TRYING TO WHAT DO YOU NEED. FDA IS TRYING TO FIGURE OUT
22 WHAT THEY NEED.

23 CHAIRMAN ORKIN: ANY OTHER COMMENTS?

24 DR. STEWARD: THIS IS SORT OF A QUESTION FOR
25 CIRM. THIS LATEST DISCUSSION, I THINK, I FIND VERY

BARRISTERS' REPORTING SERVICE

1 INTERESTING, THE IDEA OF SOME SORT OF CENTRALIZED GROUP
2 THAT IS RESPONSIBLE FOR REGULATORY KINDS OF ISSUES. JUST
3 FOR THOSE OF YOU WHO AREN'T FAMILIAR, ONE OF THE BIG
4 PROBLEMS WITH CIRM IS THAT THERE'S A VERY TIGHT LIMIT TO
5 THE TOTAL NUMBER OF PEOPLE THAT CAN ACTUALLY BE IN CIRM
6 HEADQUARTERS. THE NUMBER IS 50. AND SO IT COULD NOT BE
7 PART, PRESUMABLY, OF THE CIRM ORGANIZATION ITSELF.

8 MY QUESTION IS IS THIS A TEAM THAT COULD BE
9 CONSIDERED FOR FUNDING, A REGULATORY AFFAIRS TEAM, LET'S
10 CALL IT?

11 DR. CHIU: THAT'S A VERY INTERESTING CONCEPT
12 THAT WE CAN --

13 MR. KLEIN: I THINK WHAT YOU'RE SUGGESTING, OS,
14 IS THE MOST PROBABLE DIRECTION WE WOULD NEED TO GO IS
15 CONTRACTING FOR A TEAM, AND THEY COULD RESPOND. WE COULD
16 HAVE A COMPETITION, IN FACT, FOR A TEAM OR MULTIPLE
17 TEAMS, GIVEN WE HAVE A BIG STATE, BECAUSE ALL TEAMS MIGHT
18 NOT BE -- TO HAVE ONE CENTRALIZED TEAM, WE MAY NOT HAVE
19 THE DIVERSITY OF EXPERIENCE ON IT THAT WE MIGHT NEED. WE
20 MIGHT NEED A COUPLE OF TEAMS IN THE STATE THAT SERVE AS
21 RESOURCE CENTERS, BUT ACT ON CONSULTING CONTRACTS
22 BECAUSE, AS YOU QUITE APPROPRIATELY POINT OUT, WE CAN'T
23 INTERNALIZE THEM AS STAFF AT CIRM.

24 DR. WILLIAMS: SOMEONE MADE A COMMENT ABOUT ONE
25 OF THE DIABETES TEAMS HAVING THIS SETUP. SO, AGAIN, THIS

BARRISTERS' REPORTING SERVICE

1 CORE THAT I SPOKE ABOUT IN CINCINNATI ACTUALLY COMPETED
2 FOR AND GOT AWARDED FOR THE NIH RARE LUNG DISEASES
3 CONSORTIUM THE CENTRAL REGULATORY OFFICE FOR MULTIPLE
4 INSTITUTIONS AROUND THE COUNTRY DOING THESE KINDS OF
5 STUDIES. SO YOU COULD HAVE, AS PART OF YOUR RFA, IF YOU
6 WANTED AND YOU DIDN'T WANT TO EMBED THESE EXTRA FTE'S IN
7 YOUR OWN OFFICE, YOU COULD HAVE THAT AS PART OF THE TEAM
8 APPROACH, THAT PEOPLE COULD COMPETE FOR SETTING UP A
9 REGULATORY OFFICE THAT WOULD ASSIST EVERYBODY IN ISSUES
10 DEALING WITH REGULATORY OVERSIGHT AND INTERACTIONS ALONG
11 THESE LINES WITH EITHER NIH OR MORE APPROPRIATELY WITH
12 THE FDA.

13 DR. CHIU: THAT'S RIGHT. JUST LIKE WE HAVE
14 COMPETED FOR SHARED LABS OR TECHNIQUES COURSES, WE COULD
15 ALSO COMPETE OUT A RESOURCE SUCH AS THIS FOR THE STATE.
16 GREAT. I WILL TRY AND FIND OUT MORE ABOUT HOW THE NIH
17 STRUCTURED THIS IN THEIR RARE LUNG DISEASE CONSORTIUM,
18 BUT THIS IS A VERY GOOD LEAD. THANK YOU.

19 MR. KLEIN: EXCELLENT IDEA.

20 DR. CHIU: ARE THERE ANY COMMENTS FROM THE
21 PUBLIC? WE WOULD LOVE TO HEAR FROM THE PUBLIC ANY
22 THOUGHTS THEY MIGHT HAVE.

23 DR. STEFFEN: IF NONE, NO MORE COMMENTS --

24 MR. KLEIN: WHILE YOU'RE WAITING FOR THE
25 PUBLIC, BETTINA AND ARLENE AND THE REST OF YOUR

BARRISTERS' REPORTING SERVICE

1 SCIENTIFIC GROUP THERE, THIS IS BOB KLEIN, I'D JUST LIKE
2 TO SAY -- TO ECHO SOME OF THE SCIENTISTS' COMMENTS, THAT
3 I THINK THIS WAS AN EXCELLENT PRESENTATION AND WILL
4 REALLY BE AN INVALUABLE RESOURCE FOR THOSE WHO ARE GOING
5 TO COMPETE FOR THE PLANNING GRANTS TO REALLY SCOPE AND
6 ORGANIZE THEIR TASK.

7 DR. CHIU: WE HOPE SO.

8 DR. STEFFEN: THANK YOU. IF THERE ARE NO
9 COMMENTS ON THE REPORT, WE WOULD LIKE TO MOVE TO
10 DISCUSSION QUESTIONS FOR PROPOSALS. AND THE FIRST
11 QUESTION THAT WE HAVE FOR THE GRANTS WORKING GROUP IS HOW
12 WOULD ONE ASSESS A DISEASE TEAM PROPOSAL?

13 DR. CHIU: THIS IS A LEADING QUESTION, AND WE
14 HOPE THAT WE WILL HAVE A FEW THOUGHTS FROM YOU BEFORE WE
15 WRITE THE INITIATIVE. THIS WOULD GREATLY HELP US. IF,
16 YOU KNOW, TEN TEAMS CAME IN, EACH ON A DIFFERENT DISEASE
17 WITH A DIFFERENT APPROACH, WHAT DO YOU THINK WE SHOULD,
18 YOU KNOW, SAY IS CRITICAL?

19 DR. CSETE: WELL, THIS IS ONE AREA WHERE
20 FEASIBILITY VERSUS NOVELTY MAYBE SHOULD GO ON THE
21 FEASIBILITY SIDE. AGAIN, JUST THINKING OF SOMEONE WHO
22 TRAVERSES HOSPITALS EVERY DAY, SO WHAT YOU'RE REALLY
23 ASKING US IS WHAT MAKES THIS KIND OF APPLICATION
24 DIFFERENT THAN HOW WE WOULD REVIEW AN NIH GRANT OR A
25 REGULAR R01 APPLICATION. AND I THINK FEASIBILITY HAS TO

BARRISTERS' REPORTING SERVICE

1 BE VERY HIGH UP.

2 DR. BRIVANLOU: I ALSO WANT TO SECOND THAT, BUT
3 ALONG THE RANGE OF PROJECTS THAT ARE FEASIBLE, I WILL
4 VOTE FOR THE ONES THAT I WOULD CALL SCIENTIFICALLY READY
5 OR MORE MATURE THAN OTHERS. I THINK THE FIELD
6 DESPERATELY NEEDS ONE DISEASE TO BE ADDRESSED IN A
7 POSITIVE FASHION WITH CELL-BASED THERAPY OF STEM CELLS OR
8 ANY APPROACHES THAT USES STEM CELLS TO GET TO THAT
9 THERAPY.

10 AND I THINK AFTER THE FIRST ONE, EVERYTHING IS
11 GOING TO BECOME MORE EASY, NOT ONLY IN TERMS OF THE
12 SOCIOPOLITICAL INTERFACE OF IT, BUT ALSO IN TERMS OF OUR
13 UNDERSTANDING OF THE SCIENTIFIC ENDEAVOR. SO AMONG ALL
14 THE PROJECTS THAT ARE FEASIBLE, THERE ARE SOME THAT ARE
15 VERY, VERY NEAR TO COMPLETION. THEY MIGHT NOT BE THE
16 SEXIEST ONE OR THE ONES THAT ARE THE MOST POPULAR, LIKE
17 PARKINSON'S OR OTHERS, BUT REALLY ARE THE ONES THAT ARE
18 VERY, VERY CLOSE TO GET A RESULT. I WOULD PERSONALLY
19 AMONG THE FEASIBLE ONE WOULD VIEW IT AS THE ONE THAT I
20 FEEL THE PRELIMINARY RESULT IS SO STRONG, THAT THE STEPS
21 INVOLVED TO THE FINAL INFLUENCE ARE MINIMAL.

22 DR. CHIU: RIGHT.

23 MR. KLEIN: HOW WOULD YOU EVALUATE THE
24 IMPORTANCE OF THE QUALITY AND DEPTH OF THESE BOTTLENECKS
25 AND CHALLENGES AND POTENTIAL SOLUTIONS TO THOSE

BARRISTERS' REPORTING SERVICE

1 BOTTLENECKS AND CHALLENGES? BECAUSE IT WOULD SEEM THAT
2 IF THE PROPOSAL HAD AN EXTREMELY GOOD HANDLE ON WHERE THE
3 MAJOR PROBLEMS MIGHT DEVELOP, THAT MIGHT BE AN IMPORTANT
4 PART OF THE EVALUATION AS REFERENCED MUCH EARLIER, I
5 THINK, BY DR. MURPHY.

6 CHAIRMAN ORKIN: I THINK THAT'S TRUE. THAT
7 WOULD, I THINK, FALL UNDER FEASIBILITY TO A CERTAIN
8 EXTENT. IN ADDITION, I THINK ONE WOULD ALSO WANT TO HAVE
9 SOME WAY TO -- THIS MAY BE DIFFICULT, BUT SOME WAY TO
10 ASSESS THE INTEGRATION OF THE TEAM ITSELF TO BE CERTAIN
11 THAT THIS IS A TEAM THAT IS COMFORTABLE WORKING TOGETHER,
12 HAS ONE GOAL IN MIND RATHER THAN A BUNCH OF FOLKS WHO
13 HAVE BEEN ORGANIZED SOLELY FOR THE APPLICATION, SAKE OF
14 THE APPLICATION.

15 DR. CSETE: IN TERMS OF THE ASSESSMENT, I THINK
16 THAT WE MAY BE FACED AS A WORKING GROUP WITH DISEASES FOR
17 WHICH THERE'S NOT INTERNAL CLINICAL EXPERTISE. AND I
18 THINK THE WORKING GROUP FOR THESE SHOULD HAVE THE ABILITY
19 TO CALL IN PERHAPS CONSULTANTS WHO'S THE EXPERT, IF
20 NEEDED.

21 DR. CHIU: SUBJECT EXPERTS. ABSOLUTELY WE NEED
22 THAT.

23 JUST TO GO BACK TO SOME OF THE POINTS,
24 PARTICULARLY DR. BRIVANLOU'S POINT AND ALSO MR. KLEIN'S
25 POINT, I GUESS ONE OF THE THINGS MIGHT BE, AND I'M ASKING

BARRISTERS' REPORTING SERVICE

1 FOR YOUR OPINION, THAT IF IT'S MILESTONE DRIVEN, THAT
2 ANTICIPATION OF POTENTIAL PROBLEMS AND PITFALLS AND
3 SOLUTIONS TO HOW THOSE COULD BE OVERCOME AND WHEN IT
4 WOULD BE A DROP-DEAD SORT OF END MIGHT BE ANOTHER WAY OF
5 INCREASING THE RIGOR OF SOME OF THESE PLANS. WHAT DO YOU
6 THINK?

7 CHAIRMAN ORKIN: SOUNDS LIKE AN EXCELLENT IDEA.

8 DR. BRIVANLOU: I AGREE.

9 DR. CSETE: YEAH.

10 DR. STEFFEN: I THINK WE ADDRESSED COMPARING
11 AND EXPERTISE. ARE THERE ANY MORE COMMENTS ON HOW THESE
12 PROPOSALS MIGHT BE COMPARED? I THINK WE'VE ADDRESSED
13 EXPERTISE.

14 DR. CHIU: SOME OF YOU MEMBERS OF THE WORKING
15 GROUP MAY HAVE COME ACROSS SOMETHING SIMILAR TO WHAT
16 WE'RE PROPOSING, AND I WONDERED IF YOU HAVE SOME THOUGHTS
17 TO SHARE WITH US AS TO STRENGTHS AND WEAKNESSES OF SOME
18 OF THE THINGS THAT HAVE COME ACROSS YOUR DESK THAT WE
19 SHOULD WATCH OUT FOR.

20 DR. BRIVANLOU: IN MY EXPERIENCE IN NEW YORK,
21 THE MOST CHALLENGING FOR US HAS ACTUALLY BEEN THE
22 INTEGRATION OF THE DIFFERENT VARIABLES THAT ARE REQUIRED
23 TO DO THIS SUCCESSFULLY. THIS IS DOWNSTREAM OF GETTING
24 ALL THE VALUABLE PEOPLE AND CONSULTANT AND EVERYTHING.
25 THERE IS A VERY STRONG, AS YOU ALREADY HEARD BEFORE,

BARRISTERS' REPORTING SERVICE

1 ADMINISTRATIVE ASPECT AND BUREAUCRATIC ASPECT TO THIS
2 THAT IS QUITE COMPLEX, NOT NECESSARILY BECAUSE THE RULES
3 ARE NOT VERY WELL DEFINED, ALSO IT'S BECAUSE IT'S
4 DIFFICULT TO INTEGRATE THIS IN A HOMOGENEOUS WAY.

5 WE TALKED ABOUT LEARNING FROM EXPERIENCES OF
6 OTHER UNIVERSITIES. I GUESS THERE ARE MANY DIFFERENT
7 FLAVORS OF THE RULES RIGHT NOW AMONG UNIVERSITIES. IT'S
8 VERY DIFFICULT TO COME UP WITH A CONSENSUS. IT'S VERY
9 DIFFICULT TO ANSWER THIS QUESTION. I THINK THE BEST WAY
10 TO DO IT IS TO PICK COUPLE OF THEM THAT THE TEAMS ARE
11 SCIENTIFICALLY MATURE AND PROVIDE YOU WITH THE BEST
12 CHANCE OF SUCCESS, AND THEN GO TO THEM IN A MORE OR LESS
13 PREPARED, BUT IMPROVISED WAY AND SOLVE IT AS YOU GO
14 FORWARD.

15 I THINK THAT THERE ARE SO MANY ELEMENTS
16 INVOLVED, THAT IT'S VERY DIFFICULT TO PREDICT AT THIS
17 POINT WHERE THE REAL BOTTLENECKS ARE GOING TO BE. I
18 PERSONALLY FROM MY OWN EXPERIENCE, THE BOTTLENECKS ARE
19 NOT SCIENTIFIC USUALLY.

20 DR. CSETE: I'M WONDERING IF YOU DISCUSSED THE
21 POTENTIAL NEED FOR SITE VISITS. AS EXPERIENCE, SOMETIMES
22 WHEN I'VE BEEN ON A SITE VISIT FOR A PROJECT OR SOMETHING
23 LARGER, YOU REALLY GET A SENSE OF WHETHER PEOPLE HAVE
24 TALKED TO EACH OTHER OR NOT. AND PART OF THIS WHOLE -- A
25 BIG PART OF WHAT YOU TALKED ABOUT WAS HOW A LEADER WAS

BARRISTERS' REPORTING SERVICE

1 GOING TO BE THE GLUE FOR THIS TEAM, AND SOMETIMES ON-SITE
2 CAN GET A MUCH BETTER SENSE OF THAT.

3 DR. CHIU: RIGHT. ONE THING WE HAD HEARD,
4 ESPECIALLY FROM THE NIH REP, WAS THE CLOSE COORDINATION,
5 FOR A SCIENTIFIC MEMBER OF THE INSTITUTE TO BE VERY CLOSE
6 IN PROJECT MANAGEMENT SO THEY KNOW WHAT'S HAPPENING IN
7 TERMS OF COMMUNICATION. BUT A SITE VISIT SURELY WILL
8 BRING A CLEARER IDEA OF HOW THINGS ARE DONE AND WHERE
9 THEY'RE DONE. YOU'RE RIGHT.

10 I HAD ANOTHER QUESTION, IF THERE ARE NO MORE
11 POINTS ABOUT THIS, IS HOW LONG DO YOU THINK THESE TYPES
12 OF GRANTS SHOULD BE FUNDED FOR?

13 DR. WILLIAMS: IT DEPENDS ON HOW YOU, AGAIN,
14 SET IT UP. BUT MY -- IN FACT, I JUST WROTE AN EDITORIAL
15 FOR *MOLECULAR THERAPY* ABOUT THE NIH FUNDING OF CLINICAL
16 TRIALS. THE POINT I TRIED TO MAKE IN THAT EDITORIAL WAS
17 THAT TO BE REALLY GOOD, THE FUNDING HAS TO BE OVER A
18 NUMBER OF YEARS BECAUSE IT TAKES A NUMBER OF YEARS TO
19 DEVELOP PROJECTS ALL THE WAY INTO THE CLINIC. AND THE
20 CURRENT NIH SYSTEM IS REALLY WEAK BECAUSE IT'S NOT
21 INTEGRATED ACROSS ALL THOSE YEARS, AND THERE'S DIFFERENT
22 STUDY SECTIONS AT DIFFERENT TIMES WHEN YOU ARE TRYING TO
23 DEVELOP A TRIAL.

24 SO YOU MIGHT HAVE A STUDY SECTION THAT REVIEWS
25 THE BASIC AND PRECLINICAL STUFF, AND A DIFFERENT STUDY

BARRISTERS' REPORTING SERVICE

1 SECTION REVIEWS THE GRANTS FOR DEVELOPMENT OF THE
2 REAGENTS AND THE TOXICOLOGY AND TOXICITY STUDIES ON THE
3 REAGENT, AND A DIFFERENT STUDY SECTION REVIEWS THE
4 CLINICAL TRIAL ITSELF.

5 AND SO IT WOULD BE EFFECTIVE, I THINK THERE
6 SHOULD BE ONE REVIEW FOR THE WHOLE PROJECT, BUT MAYBE
7 THEN HAVE MILESTONES OVER A NUMBER OF YEARS WHERE WHEN
8 YOU OBTAIN A MILESTONE, THEN THERE'S A RELEASE OF FUNDING
9 FOR THE NEXT PHASE OF THE PROJECT. SO I WOULD -- MY
10 ADVICE WOULD BE THAT THE FUNDING BE QUITE LONG, BUT THAT
11 THERE BE SOME PROCESS OF ADMINISTRATIVELY, NOT
12 RE-REVIEWING, BUT AT LEAST ESTABLISHING MILESTONES THAT
13 ARE GOING TO BE ATTAINED BEFORE RELEASE OF THE NEXT PHASE
14 OF THE PROJECT MONEY.

15 DR. CHIU: RIGHT. I THINK WE --

16 CHAIRMAN ORKIN: I THINK THAT'S AN EXCELLENT
17 SUGGESTION. I THINK THAT'S WHAT ARLENE MAY HAVE BEEN
18 ALLUDING TO IN SOME RESPECTS WAS THE MILESTONES.

19 DR. CHIU: RIGHT. BUT FOR THE WHOLE PROJECT,
20 HOW MANY YEARS? EVEN WITH THE MILESTONES AS STOP-GO
21 TOUCHPOINTS AFTER THE REVIEW OF THE WHOLE PROJECT BY THE
22 GRANTS WORKING GROUP, HOW LONG DO YOU THINK SUCH A
23 PROJECT SHOULD BE FUNDED FOR? AND THEN TO MOVE ONTO
24 CLINICAL TRIALS ONCE YOU GET THE IND SO THAT WE DON'T
25 SLOW THINGS DOWN? WHAT ARE YOUR THOUGHTS?

BARRISTERS' REPORTING SERVICE

1 DR. WILLIAMS: I THINK IF YOU'RE GOING TO GO
2 ALL THE WAY TO THE IND AND ENROLLMENT IN A PHASE I TRIAL,
3 IF THAT'S YOUR GOAL, I THINK YOU HAVE TO HAVE AT LEAST
4 SEVEN YEARS OF FUNDING PERSONALLY.

5 DR. CHIU: SEVEN YEARS. OKAY. ANYBODY ELSE
6 HAVE THOUGHTS OR EXPERIENCE?

7 CHAIRMAN ORKIN: I AGREE, BUT I WOULD HAVE
8 OFFHAND SAID SOMETHING ON THE ORDER OF FIVE YEARS WITH
9 SOME SORT OF ADMINISTRATIVE REVIEW AS TO WHETHER
10 MILESTONES HAVE REALLY BEEN ACHIEVED, AND THEN THE
11 RELEASE OF MONEY FOR SOME PERIOD THEREAFTER.

12 DR. BRIVANLOU: I AGREE WITH THAT.

13 DR. CSETE: THAT'S GOOD.

14 MR. KLEIN: SOUNDED LIKE A VERY GOOD IDEA.

15 DR. STEWARD: THIS IS ANOTHER QUESTION FOR
16 CIRM. I THINK THAT THE ANSWER HAS TO BE ADDRESSED WITH
17 RESPECT TO THE NUMBER THAT YOU PROPOSE FUNDING NOW AND
18 THE NUMBER THAT YOU WOULD HOPE TO FUND IN THE FUTURE. IF
19 YOU'RE FUNDING X, LET'S SAY TEN, NOW FOR SEVEN YEARS AND
20 THERE'S NO OPPORTUNITY FOR OTHERS COMING IN, YOU RUN THE
21 REAL RISK OF STARTING THE PROCESS AND MAYBE IN TWO YEARS
22 OUR BASIC SCIENCE IS GOING TO BRING US TO THE POINT OF
23 REALLY THE THRESHOLD OF ONE OF THESE THINGS, BUT NOT HAVE
24 ANY MONEY AVAILABLE FOR IT.

25 SO MY QUESTION IS IS THIS A COMPREHENSIVE PLAN

BARRISTERS' REPORTING SERVICE

1 FOR THE YEARS OF EXISTENCE OF CIRM, OR HOW DO YOU THINK
2 ABOUT THIS?

3 DR. CHIU: I'M GLAD YOU BROUGHT THAT UP. IT
4 WAS A POINT THAT PEOPLE AT THE WORKSHOP WERE WONDERING
5 ABOUT AS WELL, AND I WANTED TO SEE HOW THE GRANTS WORKING
6 GROUP FELT ABOUT IT. WE THOUGHT JUST AT THAT TIME ONE
7 POSSIBILITY WAS TO FUND A FIRST TRANCHE WITH VERY CLEAR
8 MILESTONES. AND IF THE MILESTONES ARE NOT MET, EVEN IF
9 THEY ARE SUPPOSED TO BE FOR FIVE YEARS PLUS, AND I SAY
10 FIVE YEARS PLUS BASED ON WHAT DR. ORKIN HAS JUST SAID AND
11 ALSO IT SEEMS TO MAKE GOOD SENSE, SO THAT IT COULD BE AS
12 LONG AS SEVEN OR EIGHT YEARS FOR THE CLINICAL TRIAL.
13 LET'S SAY IT'S THE FIRST FIVE YEARS, BUT IF MILESTONES
14 ARE NOT MET, IT ENDS. THE MONEY WILL BE RECYCLED FOR
15 ANOTHER ROUND.

16 SO IF WE SPACE OUT ROUNDS AND WE FUND MORE TO
17 START WITH, ASSUMING THAT THINGS WILL FAIL AND THEY WILL
18 SHRINK AND NOT RUN TO COMPLETION BASED ON LIKELIHOOD OR
19 UNLIKELIHOOD, AND BETTINA MENTIONED FEASIBILITY FOR
20 ADJUSTMENT, IF IT'S A MINOR THING THAT A MILESTONE IS NOT
21 MET, EASILY FIXABLE, THAT SHOULDN'T STOP IT. BUT IF
22 CLEARLY NEW TECHNOLOGY HAS COME OUT IN THIS APPROACH,
23 THEN IT SHOULDN'T CONTINUE ON OLD TECHNOLOGY AND THINGS
24 LIKE THAT. THAT'S A POSSIBILITY.

25 THE OTHER IDEA IS -- AND THEN WE'LL RECYCLE

BARRISTERS' REPORTING SERVICE

1 THAT APPROACH, ANOTHER DISEASE TEAM ROUND AGAIN, OR
2 SOMETHING LIKE THAT. THAT WAY IN MY MIND WERE THESE
3 PYRAMIDS. SO YOU FUND A LARGER NUMBER THAT SHRINKS UP TO
4 A FEW THAT REACH THE GOAL OF A CLINICAL TRIAL. THE NEXT
5 TRANCHE, WHICH OVERLAPS A LITTLE BIT, WILL TAKE MONEY
6 RECYCLED BACK AND FUND MORE, AND THAT WAY WE WON'T WASTE
7 ANY TIME IN THE LIFETIME OF CIRM.

8 THE SECOND THOUGHT WAS, AS DR. ORKIN SAID, IF
9 WE FUND SOMETHING LIKE A FIVE PLUS THREE YEAR, LET'S JUST
10 TAKE IT OFF THE TOP OF OUR HEADS, THEN AT THE END OF FIVE
11 YEARS, IF YOU'RE READY TO GO INTO CLINICAL TRIAL, IT MAY
12 NOT NEED A COMPREHENSIVE RE-REVIEW, BUT TO MOVE ON TO THE
13 CLINICAL TRIAL, IF IT HASN'T REACHED THAT POINT, IT ENDS
14 AT FIVE YEARS. THAT WAY WE DON'T SLOW THE PROGRAM, BUT
15 YET HAVE THE FLEXIBILITY TO FUND UP TO SEVEN OR EIGHT
16 YEARS TO GET INTO PHASE I.

17 HOW DOES THE WORKING GROUP FEEL ABOUT SOMETHING
18 LIKE THIS?

19 CHAIRMAN ORKIN: I THINK REALISTICALLY THERE
20 WON'T BE A LARGE NUMBER OF THESE AT THE OUTSET. I THINK
21 IT WILL TAKE A COUPLE OF YEARS TO BUILD UP A NUMBER OF
22 THESE PROGRAMS. IN A WAY, IT WOULD BE MORE OF A RISE AND
23 THEN SOME SORT OF PLATEAU AS THINGS MATURE. SO I DON'T
24 THINK IT'S AS IF YOU'D FUND THE LARGE NUMBER AT THE
25 OUTSET AND THEN HAVE NO MONEY LEFT TO DISTRIBUTE FOR

BARRISTERS' REPORTING SERVICE

1 FUTURE PROJECTS.

2 DR. CHIU: WE DEFINITELY HAVE TO BALANCE OR NOT
3 SPEND EVERYTHING FOR THIS INITIATIVE WHEN NEW
4 TECHNOLOGIES ARE COMING ON BOARD IN A YEAR, TWO, OR
5 THREE.

6 DR. WILLIAMS: I GUESS THE OTHER VIEW, THOUGH,
7 IS THAT YOU MAY HAVE, AND I MEANT TO SAY THIS BEFORE,
8 THERE MAY BE PROJECTS THAT COME IN LATER IN THE CONTINUUM
9 FROM THE VERY BEGINNING. IN OTHER WORDS, IT'S AT LEAST
10 THEORETICALLY POSSIBLE THAT YOU COULD HAVE PEOPLE COMING
11 IN WHO HAVE ALREADY DONE A LOT OF PRECLINICAL WORK AND
12 ARE ESSENTIALLY AT A POINT WHERE WHAT THEY WANT FUNDING
13 FOR IS ACTUAL CLINICAL TRIALS. SO I THINK THAT YOU NEED
14 TO HAVE -- THE SYSTEM HAS TO BE FLEXIBLE ENOUGH ALSO TO
15 ACCOMMODATE THOSE TYPES OF APPLICATIONS.

16 TO GET BACK TO MY POINT, WHAT I'VE SEEN THROUGH
17 THE NIH SYSTEM IS THAT THIS CONTINUITY BETWEEN THE REVIEW
18 OF DIFFERENT SEGMENTS REALLY SLOWS THINGS DOWN AND REALLY
19 HINDERS THE FINAL PRODUCT, WHICH AT THE VERY LEAST SHOULD
20 BE MAJOR POINTS GETTING INTO HUMAN TRIALS BECAUSE DURING
21 THE TIMEFRAME THAT THE PRECLINICAL WORK IS BEING
22 DEVELOPED, IF THERE'S ANOTHER REVIEW FOR THE CLINICAL
23 TRIALS, WHAT OFTEN HAPPENS IS THE NEXT REVIEW GROUP
24 DOESN'T NECESSARILY EVEN AGREE WITH THE FIRST REVIEW
25 GROUP'S IDEAS ABOUT WHAT'S GOOD TARGETS OR WHAT'S GOOD

BARRISTERS' REPORTING SERVICE

1 THIS OR THAT. AND SO THAT BECOMES KIND OF A PROBLEM
2 BECAUSE YOU'VE INVESTED A LOT OF TIME AND A LOT OF MONEY
3 IN DEVELOPING THE TRIAL, AND THEN SUDDENLY, BECAUSE A
4 DIFFERENT REVIEW GROUP IS LOOKING AT IT, THERE'S A CHANGE
5 IN THE FEELING ABOUT GOING FORWARD.

6 I THINK TO THE EXTENT YOU CAN DEVELOP A SYSTEM
7 THAT REALLY LOOKS AT THE WHOLE PROJECT FROM START TO
8 FINISH, ALBEIT, AS STU SAID, WITH THE MILESTONES BUILT
9 IN, THAT WOULD BE MORE EFFICIENT, AND YOU WILL HAVE, I
10 THINK, MORE PROJECTS REACH WHAT SOUNDS LIKE IS YOUR
11 REALLY END POINT THAT YOU WANT, WHICH IS ACTUALLY TESTING
12 IN HUMANS.

13 DR. CHIU: RIGHT. I ABSOLUTELY AGREE WITH YOU,
14 AND I DON'T THINK -- REVIEW TAKES TIME, WRITING AN
15 APPLICATION TAKES TIME, AND WE DO NOT WANT A DISEASE TEAM
16 APPROACH TO BE BROKEN UP, AS YOU SAID, AND REVIEWED
17 MULTIPLE TIMES. SO ONE POSSIBILITY, AFTER ALL, YOU ALL
18 ARE THE REVIEWERS, IS THAT IF YOU APPROVE A COMPREHENSIVE
19 PROJECT, LET'S SAY, FOR THE FIVE YEARS WITH POTENTIAL FOR
20 MOVING INTO THE CLINIC SHOULD THEY GET AN IND, AND IF
21 WITHIN A YEAR BEFORE THE END OF THAT IT LOOKS LIKE IT'S
22 LIKELY, IF WE JUST DRAW BACK, SAY, THE PRIMARY AND
23 SECONDARY REVIEWERS TO TAKE A QUICK LOOK AT PROGRESS, WE
24 CAN MAYBE HASTEN OR SMOOTH OUT THAT TRANSITION AND MOVE
25 STRAIGHT TO THE PLUS THREE FOR THE CLINICAL TRIALS

BARRISTERS' REPORTING SERVICE

1 WITHOUT A COMPLETE RE-REVIEW.

2 DR. CSETE: I THINK THAT'S A GOOD IDEA.

3 DR. BONNER-WEIR: YEAH.

4 DR. CHIU: THAT'S SOMETHING WE SHOULD REALLY
5 TAKE INTO CONSIDERATION AND PRESENT TO THE BOARD, THEN,
6 FOR THEIR CONSIDERATION.

7 THIS HAS BEEN FANTASTIC. I JUST WONDER IF YOU
8 GUYS HAVE ANY MORE IDEAS THAT WE CAN ADOPT, OR HAVE WE
9 REALLY RUN THIS? WE REALLY APPRECIATE THIS.

10 CHAIRMAN ORKIN: YES.

11 DR. CHIU: SO IF THERE ARE NO MORE, I TURN THE
12 MEETING BACK TO YOU.

13 CHAIRMAN ORKIN: IS BETTINA DONE YET? I
14 THOUGHT THERE WERE A FEW MORE SLIDES LEFT IN THE
15 PRESENTATION.

16 DR. STEFFEN: MOVING ON IS KUMAR HARI. I'M
17 FINISHED.

18 CHAIRMAN ORKIN: THANK YOU VERY MUCH, BETTINA.
19 KUMAR, I THINK YOU HAVE THE STAGE AGAIN.

20 DR. HARI: THANK YOU, STU. THAT'S GOING TO BE
21 A TOUGH ACT TO FOLLOW. THAT WAS REALLY EXCELLENT
22 FEEDBACK, AND THANK YOU FOR THAT.

23 HERE WE'RE ON TOPIC, I BELIEVE IT IS, SEVEN ON
24 THE AGENDA AND NO. 5 ON YOUR SLIDE SET, WHICH IS AN
25 UPDATE REGARDING A GRANTS ADMINISTRATION POLICY THAT CIRM

BARRISTERS' REPORTING SERVICE

1 IS DEVELOPING FOR FOR-PROFIT ORGANIZATIONS.

2 ON THE NEXT SLIDE, WHICH IS THE PURPOSE SLIDE,
3 WE DESCRIBE THAT THE FOR-PROFIT GRANTS ADMINISTRATION
4 POLICY IS INTENDED TO SERVE AS THE TERMS AND CONDITIONS
5 GOVERNING THE SELECTION, FUNDING, TERMINATION, AND
6 MONITORING, AND THAT'S DURING AND POST AWARD, OF CIRM
7 GRANTS.

8 AND THE KEY IN DEVELOPING THIS POLICY NOW IS TO
9 OPEN THE DOOR FOR FOR-PROFIT ENTITIES TO COMPETE AGAINST
10 ACADEMIC AND NONPROFITS FOR CIRM FUNDING BECAUSE
11 CURRENTLY MOST RFA'S OR ALL RFA'S HAVE BEEN OPEN TO ONLY
12 ACADEMIC AND NONPROFIT INSTITUTIONS.

13 THE METHOD THAT WE'VE BEEN USING THUS FAR TO
14 DEVELOP THIS GRANTS ADMINISTRATION POLICY IS TO UTILIZE
15 THE CURRENT AND EXISTING GAP FOR ACADEMIC AND NONPROFIT
16 INSTITUTIONS AS A STARTING TEMPLATE AND DEFINE CHANGES
17 THAT WOULD NEED TO BE MADE THAT ARE SPECIFIC TO
18 FOR-PROFIT ENTITIES.

19 NOW, ON SEPTEMBER 7TH WE HELD AN INTERESTED
20 PERSONS MEETING. AND THE PURPOSE OF THAT MEETING WAS TO
21 GATHER FEEDBACK FROM FOR-PROFIT ENTITIES AS WELL AS THE
22 PUBLIC WHERE WE REALLY ASKED PEOPLE TO FOCUS ON THOSE
23 SITUATIONS THAT WOULD BE UNIQUE TO FOR-PROFIT ENTITIES IN
24 TERMS OF ADMINISTERING GRANTS FROM THE CIRM.

25 ON THE NEXT SLIDE AND ACTUALLY THE NEXT, I

BARRISTERS' REPORTING SERVICE

1 BELIEVE, THREE SLIDES, I WILL GO THROUGH FOUR TOPICS THAT
2 WE ASKED PEOPLE TO COME AND THINK ABOUT AND DISCUSS WITH
3 YOU.

4 THE FIRST TOPIC HAD TO DO WHAT UNIQUE CHANGES
5 IN PROJECT CONTROL MIGHT ARISE IN FOR-PROFIT
6 ORGANIZATIONS AS OPPOSED TO ACADEMIC OR NONPROFIT
7 SETTINGS. AND FOLLOWING ON FROM THAT, HOW SHOULD THESE
8 CHANGES BE EFFECTIVELY MANAGED BY THE FOR-PROFIT ENTITY?

9 (INTERRUPTION IN PROCEEDINGS.)

10 DR. HARI: I'LL JUST GO ONE STEP BACK, WHICH IS
11 TO INTRODUCE THE DISCUSSION TOPICS THAT WE ASKED PEOPLE
12 TO CONSIDER AND BRING FEEDBACK ON.

13 SO THE FIRST OF THESE TOPICS HAD TO DO WITH
14 WHAT UNIQUE CHANGES IN PROJECT CONTROL MIGHT ARISE IN
15 FOR-PROFIT ORGANIZATIONS. THAT'S AS OPPOSED TO ACADEMIC
16 OR NONPROFIT SETTINGS. AND FOLLOWING ON FROM THAT, HOW
17 SHOULD THESE CHANGES BE EFFECTIVELY MANAGED BY THOSE
18 FOR-PROFIT ENTITIES AS WELL AS CIRM?

19 NOW, THE NOTE THAT WE TOLD PEOPLE IS THAT THE
20 GRANTS ADMINISTRATION POLICY FOR ACADEMIC AND NONPROFIT
21 INSTITUTIONS ALREADY REQUIRES THAT ORGANIZATIONS REQUEST
22 PRIOR APPROVAL TO AUTHORIZE CHANGES IN PI STATUS OR AWARD
23 TRANSFER. AND THE FEEDBACK THAT WE RECEIVED FROM THIS
24 INTERESTED PERSONS MEETING IS THAT THE CURRENT MODEL IN
25 REQUESTING PRIOR APPROVAL FOR CERTAIN CHANGES IS

BARRISTERS' REPORTING SERVICE

1 SUFFICIENT IF THE APPROPRIATE TERMS RELATIVE TO HOW
2 FOR-PROFIT ORGANIZATIONS DO BUSINESS ARE INCORPORATED
3 INTO THE NEW GRANTS ADMINISTRATION POLICY. AND TWO OF
4 THE APPROPRIATE TERMS THAT WE DISCUSSED WERE MERGERS AND
5 ACQUISITIONS AND ALSO BANKRUPTCY.

6 ON THE NEXT SLIDE, DISCUSSION TOPICS 2 AND 3
7 ARE LISTED. TOPIC 2 HAD TO DO WITH THE CIRM'S
8 REQUIREMENT FOR ANNUAL FINANCIAL AND PROGRAMMATIC
9 REPORTS, AS WELL AS REPORTS OF LICENSING ACTIVITIES,
10 PATENT APPLICATION, AND COMMERCIALIZATION ACTIVITIES FOR
11 CIRM-FUNDED FOR-PROFIT ENTITIES.

12 AND THE QUESTION WE POSED IS WHAT INFORMATION
13 CAN REASONABLY BE PROVIDED TO THE CIRM TO ACCOUNT FOR
14 THESE REPORTS? AND THE FEEDBACK WE RECEIVED HERE WAS
15 THAT PARTICIPANTS WOULD REALLY LIKE TO HAVE MORE CLARITY
16 ON PRECISELY WHAT INFORMATION THE CIRM WILL NEED TO
17 MANAGE ITS RESPONSIBILITIES TO THE STATE. AND FOR MORE
18 BACKGROUND ON THAT, I WOULD REFER PEOPLE TO THE
19 INTELLECTUAL PROPERTY POLICY FOR FOR-PROFIT ORGANIZATIONS
20 TO GET A SENSE OF WHAT REPORTS WE WILL BE REQUIRING. AND
21 INTERNALLY WE WILL THINK VERY CAREFULLY ABOUT THE TYPES
22 OF INFORMATION THAT WE WILL REQUIRE TO, AGAIN, MANAGE OUR
23 RESPONSIBILITIES TO THE STATE.

24 WITH RESPECT TO TOPIC NO. 3, WE ASKED WHAT
25 ISSUES MAY ARISE IN SUBCONTRACTING OR PURCHASING GOODS

BARRISTERS' REPORTING SERVICE

1 AND SERVICES FROM NON-CALIFORNIA ENTITIES. AND HERE
2 THERE IS A PARTICULAR REQUIREMENT WITHIN PROPOSITION 71
3 THAT ALL RESEARCH FUNDING FROM THE CIRM MUST BE SPENT
4 WITHIN THE STATE OF CALIFORNIA. YET THERE IS A
5 PREFERENCE FOR CALIFORNIA SUPPLIERS OF GOODS AND
6 SERVICES. AND PARTICIPANTS HERE ASKED US TO PROVIDE MORE
7 CLEAR DEFINITIONS OF WHAT CONSTITUTES RESEARCH VERSUS
8 GOODS AND SERVICES IN THE CONTEXT OF THE WORK THAT THEY
9 WOULD BE PERFORMING UNDER CIRM-FUNDED GRANTS.

10 ON THE FINAL SLIDE, DISCUSSION TOPIC NO. 4, WE
11 POSED THE TOPIC THAT GIVEN THAT THERE ARE VARIOUS METHODS
12 OF ACCOUNTING FOR GRANT-RELATED ACTIVITIES IN FOR-PROFIT
13 ORGANIZATIONS, HOW WILL FOR-PROFITS CALCULATE DIRECT
14 RESEARCH FUNDING COSTS AND INDIRECT COSTS RELATIVE TO
15 PROPOSITION 71 AND OUR CURRENT APPLICATIONS FOR CIRM
16 GRANTS?

17 AND IT TURNS OUT THAT THE NIH INDIRECT RATES
18 ARE THE BASIS FOR THIS TWO-PART SYSTEM THAT CIRM USES.
19 AND MANY OF THE MEETING PARTICIPANTS ALREADY HAVE
20 NEGOTIATED RATES, AND THEY'RE QUITE COMFORTABLE WITH THIS
21 SYSTEM AND THEY WOULD LIKE TO STICK WITH IT. THOSE
22 INSTITUTIONS WHO DO HAVE NIH-NEGOTIATED RATES ASSUME THAT
23 THEY ARE GOING TO NEGOTIATE THESE RATES WITH THE CIRM.
24 AND SO THERE ARE VARIOUS DEFINITIONS FOR WHAT CONSTITUTES
25 INDIRECT COSTS AND DIRECT RESEARCH FUNDING THAT THE CIRM

BARRISTERS' REPORTING SERVICE

1 WILL NEED TO CONSIDER.

2 THOSE ARE THE FOUR TOPICS AND JUST A BRIEF
3 SUMMARY OF THE FEEDBACK THAT WE HAVE RECEIVED ON THE
4 GRANTS ADMINISTRATION POLICY FOR FOR-PROFIT
5 ORGANIZATIONS. OUR PATH FORWARD IS TO PREPARE A DRAFT
6 DOCUMENT FOR PUBLIC CONSUMPTION AND THEN HOLD A SECOND
7 INTERESTED PARTIES MEETING TO RECEIVE ADDITIONAL FEEDBACK
8 ON THE PRECISE LANGUAGE THAT WE INCLUDE WITH THE INTENT
9 OF PUTTING TOGETHER A VERY STRONG DRAFT TO BRING TO THE
10 ICOC FOR APPROVAL.

11 AND WITH THAT, I'LL JUST OPEN IT UP FOR ANY
12 QUESTIONS OR COMMENTS FROM THE GRANTS WORKING GROUP OR
13 THE PUBLIC.

14 CHAIRMAN ORKIN: JUST ONE QUESTION IS WHAT
15 FRACTION OF THESE FOR-PROFITS ARE LOOKING TO NEGOTIATE
16 RATES, MEANING DON'T HAVE RATES ALREADY?

17 DR. HARI: JUST BASED ON THE NUMBER OF
18 PARTICIPANTS AT THE MEETING ON SEPTEMBER 7TH, MY SENSE
19 WAS UPWARDS OF 80 OR 90 PERCENT OF THOSE INDIVIDUALS
20 ALREADY HAD NIH-NEGOTIATED RATES. AND I BELIEVE WE HAD
21 REPRESENTATION FROM SOMEWHERE BETWEEN SIX AND TEN
22 COMPANIES THERE. SO IT'S ACTUALLY A HIGH PROPORTION OF
23 THESE INDIVIDUALS WHO ALREADY HAVE INTERACTED WITH THE
24 NIH TO DEFINE THOSE RATES.

25 CHAIRMAN ORKIN: I WAS THINKING THAT IF IT WAS

BARRISTERS' REPORTING SERVICE

1 THE OTHER WAY AROUND, YOU MIGHT WANT TO SET ONE RATE FOR
2 THE FOR-PROFITS SO YOU WOULDN'T HAVE TO NEGOTIATE EACH
3 ONE.

4 DR. HARI: THAT'S A VERY GOOD SUGGESTION. MY
5 SENSE IS THAT THE MECHANISM BY WHICH THE NIH NEGOTIATES
6 THESE INDIRECT RATES IS ACTUALLY QUITE LABOR AND RESOURCE
7 INTENSIVE BECAUSE THEY DO SO ON AN ANNUAL BASIS. AND I
8 BELIEVE THAT THAT'S SOMETHING WE'RE GOING TO HAVE TO
9 TACKLE INTERNALLY HERE AT CIRM.

10 CHAIRMAN ORKIN: IF YOU HAD A BLANKET RATE THAT
11 WAS SORT OF A COMPROMISE, THAT MIGHT GET YOU AWAY FROM
12 THE ADMINISTRATIVE LOAD.

13 DR. CSETE: WHY DON'T YOU JUST LOOK AT THE
14 MEANS OF THESE NEGOTIATED RATES AND SEE WHAT THAT IS.

15 DR. HARI: THAT'S ALSO A GOOD SUGGESTION.

16 DR. BRIVANLOU: I DON'T KNOW IF THIS IS THE
17 RIGHT FORUM ON TOPIC 1 TO ADDRESS THIS FOR ACADEMIA AND
18 INDUSTRY. MY QUESTION IS, NO. 1, IF FOR-PROFIT
19 INSTITUTION, ARE THEY GOING TO SHARE REAGENTS AND
20 INFORMATION WITH THE ACADEMIC SECTOR? IT'S PROBABLY THE
21 INTELLECTUAL PROPERTY AGREEMENTS. OR ARE THEY GOING TO
22 BE EXCLUSIVE IN THE USE OF THEIR OWN BATTERY IN EXCLUSION
23 OF ACADEMIA? THAT'S MY FIRST QUESTION.

24 THE SECOND QUESTION, I'M SURE, IS ONE OF
25 (UNINTELLIGIBLE). ARE THOSE TYPES OF INNOVATION, IF NOT

BARRISTERS' REPORTING SERVICE

1 SUCCESSFUL IN RAISING FUNDS, BASED ON THE PRODUCT? WILL
2 THEY SHARE THE PROFITS WITH THE STATE OF CALIFORNIA?

3 DR. HARI: YEAH. THOSE ARE TWO EXCELLENT
4 QUESTIONS, ALI. BOTH OF THOSE QUESTIONS ARE ACTUALLY
5 ADDRESSED IN THE INTELLECTUAL PROPERTY POLICY FOR-PROFIT
6 ORGANIZATIONS. AND, AGAIN, I REFER PEOPLE BACK TO THAT.
7 IT'S POSTED ON OUR WEBSITE, AND IT'S APPROACHING THE OAL
8 FOR APPROVED REGULATION.

9 THE ANSWER TO THE FIRST QUESTION ABOUT SHARING
10 REAGENTS IS WITHIN THE POLICY THERE IS A STIPULATION THAT
11 FOR-PROFIT ORGANIZATIONS MUST SHARE MATERIALS UPON
12 PUBLICATION, SO IT'S SIMILAR TO WHAT YOU SEE IN SORT OF
13 ACADEMIC SETTINGS.

14 THE ANSWER TO THE SECOND QUESTION IS THERE'S A
15 VERY EXTENSIVE REQUIREMENT FOR REVENUE SHARING FOR
16 FOR-PROFIT ORGANIZATIONS THAT RECEIVE CIRM FUNDING. AND
17 IT'S DEPENDENT -- THE STRUCTURE IS DEPENDENT UPON WHETHER
18 A PRODUCT IS -- A CIRM-FUNDED PATENTED INVENTION IS
19 DEVELOPED UNDER A LICENSE TO A THIRD PARTY OR BECOMES A
20 COMMERCIALIZED PRODUCT. YOU SEE TWO DIFFERENT STRUCTURES
21 BASED ON WHAT PATH A CIRM-FUNDED ORGANIZATION TAKES.

22 DR. BRIVANLOU: KUMAR, I'M WORRIED ABOUT THE
23 THIRD TOPIC. SHARING REAGENTS DOWNSTREAM OF PUBLICATION
24 MIGHT NOT BE VERY USEFUL FOR COLLEAGUES IN ACADEMIA
25 BECAUSE ONE PUBLICATION IN THE PRIVATE SECTOR, AS WE

BARRISTERS' REPORTING SERVICE

1 KNOW, IS QUITE DIFFERENT THAN THE REQUIREMENT OF
2 PUBLICATION IN ACADEMIA. IF THE COMPANY DECIDES NOT TO
3 PUBLISH THEIR INFORMATION, THEY CAN CONTINUE WORKING
4 WITHOUT SHARING REAGENTS. I WAS JUST WONDERING IN THE
5 SPIRIT OF CIRM AND WHAT WE'RE TRYING TO ACCOMPLISH HERE,
6 CAN WE HAVE A SPECIAL CASE FOR HUMAN EMBRYONIC STEM CELLS
7 AT LEAST TO SEE IF ANY INNOVATIONS THAT ARE DEVELOPED
8 FUNDED WITH CIRM WOULD AT LEAST BE SHARED AMONG THE CIRM
9 ACADEMIC MEMBERS?

10 DR. HARI: THUS FAR, AND I DON'T SEE SCOTT
11 TOCHER IN THE ROOM, WHO IS THE PERSON WHO HAS BEEN MOST
12 INVOLVED IN PREPARING INTELLECTUAL PROPERTY POLICY FOR
13 FOR-PROFIT ORGANIZATIONS. THUS FAR, THAT POLICY DOESN'T
14 CONTEMPLATE DIFFERENT STRUCTURES FOR SHARING REAGENTS
15 WITH ACADEMICS VERSUS OTHER FOR-PROFIT ORGANIZATIONS, FOR
16 EXAMPLE, BUT WE'D CERTAINLY TAKE THAT FEEDBACK AND WILL
17 SHARE THAT WITH SCOTT TOCHER SO THAT HE MIGHT CONSIDER
18 INCORPORATING SOME MECHANISM WITHIN THAT POLICY.

19 MR. KLEIN: KUMAR, IS THERE SOMEONE THAT COULD
20 JUST ASK IF SCOTT COULD COME IN TO ANSWER THESE QUESTIONS
21 BECAUSE THEY ARE VERY IMPORTANT? AND SCOTT SHOULD BE
22 VERY CLOSE PHYSICALLY TO WHERE YOU ARE HOLDING YOUR
23 MEETING.

24 DR. HARI: IN FACT, HE IS STANDING WITHIN 5
25 FEET OF ME NOW. ARLENE JUST WENT AND GRABBED HIM, SO,

BARRISTERS' REPORTING SERVICE

1 ALI , IF I COULD ASK YOU MAYBE TO REPEAT YOUR QUESTIONS
2 FOR SCOTT TOCHER, WHO' S NOW HERE.

3 DR. CSETE: ALI , MAYBE YOU WOULD BE SATISFIED
4 IF IT WAS UPON PUBLICATION OR COMMERCIALIZATION?

5 DR. BRIVANLOU: I WOULD BE SATISFIED FROM
6 DISCOVERY, TO BE HONEST WITH YOU, BECAUSE I THINK THIS IS
7 ONE OF THOSE AREAS WHERE WE CAN ALL AGREE THAT WHAT IS
8 PERFECTLY FINE TO COMPETE IN THE FREE MARKET THAT IS
9 ALREADY MENTIONED THAT WE WANT TO PUT FORWARD. I REALLY
10 DON'T HAVE THE ANSWER TO THAT. MY EXPERIENCE IN THE
11 PAST, INCLUDING WITH COMPANIES IN CALIFORNIA WHO ARE
12 LEADERS IN THE STEM CELL FIELD, IS THAT SHARING OF
13 REAGENTS WAS NOT AUTOMATIC AT ALL. AND, IN FACT, IT TOOK
14 A LONG, LONG TIME TO GET REAGENTS. AND IT TOOK A LOT OF
15 US IN OTHER PLACES TO GO AHEAD AND DERIVE OUR OWN JUST
16 BECAUSE OF THAT LACK OF SHARING.

17 TO REPEAT THE QUESTION, MY FIRST QUESTION IS
18 WHAT IS THE RULES OF SHARING REAGENTS BETWEEN THE
19 FOR-PROFIT ORGANIZATIONS AND THE SCIENTISTS WORKING IN
20 ACADEMIA? AT A MINIMUM WOULD IT BE A DIRECT EXCHANGE OF
21 INFORMATION WITHOUT ANYTHING ATTACHED BETWEEN THE
22 CIRM-FUNDED ACADEMIC RESEARCHERS AND THE CIRM-FUNDED
23 FOR-PROFIT RESEARCHERS?

24 MR. TOCHER: BOTH FOR- AND NONPROFIT POLICIES
25 ARE, AND FORGIVE ME IF THIS HAS ALREADY BEEN DISCUSSED,

BARRISTERS' REPORTING SERVICE

1 BUT THEY' RE KEYED OFF OF PUBLICATION. SO ABSENT THAT
2 TRIGGER, IT WOULD JUST OCCUR IN THE NORMAL COURSE THE WAY
3 THINGS HAPPEN IN THE NORMAL COURSE OF BUSINESS BETWEEN
4 RESEARCHERS. AND SO I'M NOT REALLY SURE THAT OUR POLICY,
5 THEN, REALLY DIFFERENTIATES FROM CURRENT PRACTICE.

6 MR. KLEIN: THE THRUST OF HIS POINT WAS THAT
7 FOR-PROFITS COULD CHOOSE NOT TO PUBLISH OR PUBLISH FOR AN
8 EXTENDED PERIOD OF TIME. SO IS THERE A MECHANISM OR HAVE
9 YOU DISCUSSED THE ISSUE OF A TIME PERIOD AFTER DISCOVERY
10 OR SOME OTHER TRIGGERS BECAUSE EARLIER ON THERE WAS
11 DISCUSSION, I KNOW, OF IF THEY MADE A PRESENTATION TO A
12 SCIENTIFIC CONFERENCE, THERE WAS AN ISSUE WHETHER OF YOU
13 COULD TRIGGER OFF OF THAT IN THE ABSENCE OF PUBLICATION.

14 MR. TOCHER: I THINK THAT THE -- AS YOU KNOW,
15 THIS WAS SHAPED INITIALLY IN THE CONTEXT OF THE
16 DEVELOPMENT OF A NONPROFIT POLICY. AND SO I THINK THE
17 CONCLUSION WAS TO JUST TRACK CURRENT PRACTICE OF MOST
18 JOURNALS. WHEN THESE THINGS PUBLISHED, THIS IS THE SORT
19 OF EXPECTATION OF PEOPLE WHO PUBLISH. SO BEYOND THAT, I
20 DON'T THINK ANY OTHER TRIGGERS WERE EXPLORED, CERTAINLY
21 NOT IN THE FOR-PROFIT CONTEXT.

22 DR. BRIVANLOU: THEN I RESPECTFULLY SUGGEST
23 THAT THIS BE PUT AS A PRIORITY BECAUSE I'M SURE WE DON'T
24 WANT TO CREATE THE SITUATION WHERE CIRM-FUNDED PEOPLE
25 COMPETE AGAINST ONE ANOTHER IN THE PRIVATE SECTOR VERSUS

BARRISTERS' REPORTING SERVICE

1 ACADEMIA AND SOME OF THE INFORMATION FROM ONE SIDE AND
2 NOT FROM THE OTHER SIDE.

3 DR. CHIU: I THINK YOU BRING UP A VERY GOOD
4 POINT, THAT DELAY OF PUBLICATION COULD PREVENT REAGENTS
5 FROM BEING SHARED AS FREELY AS POSSIBLE. AND AT THE
6 CURRENT STATE, I'M NOT EXACTLY SURE WHERE WE ARE; BUT
7 WHEN THESE REGULATIONS WERE OPEN FOR PUBLIC COMMENT, THAT
8 MIGHT HAVE BEEN SOMETHING TO HAVE BEEN ADDRESSED. LET'S
9 SEE WHAT WE CAN DO TO MOVE THIS FORWARD. I'M NOT SURE
10 WHERE IN THE PROCESS WE ARE RIGHT NOW, BUT WE WILL
11 CERTAINLY TAKE YOUR POINT.

12 MR. TOCHER: I CAN ELABORATE A LITTLE BIT ON
13 THE PROCESS. WE'RE SORT OF WINDING DOWN FINE-TUNING THE
14 FOR-PROFIT POLICY. AND THERE IS NOT ANTICIPATED TO BE
15 ANOTHER MEETING OF THE TASK FORCE PRIOR TO BRINGING IT, I
16 THINK, TO THE ICOC. BUT, OF COURSE, WE CAN ALWAYS TALK
17 ABOUT THIS AT THE VERY LEAST WITH ED AND MARY AND GET
18 THEM ON THE SCREEN ON IT, AND IT WILL CERTAINLY COME
19 BEFORE THE ICOC BEFORE IT GOES OFF TO OAL AND BECOMES
20 FINALIZED.

21 DR. HARI: FOR THE BACKGROUND OF THE GRANTS
22 WORKING GROUP, ED AND MARY IS ED PENHOET, WHO IS THE VICE
23 CHAIR OF THE ICOC, AND MARY MAXON, WHO SOME OF YOU MAY
24 REMEMBER, FORMER DEPUTY TO THE VICE CHAIR, WAS ALSO ON
25 THE SCIENTIFIC STAFF.

BARRISTERS' REPORTING SERVICE

1 DR. MURPHY: I THINK WHAT WE MIGHT WANT TO DO,
2 KUMAR, BEFORE WE MOVE MUCH FURTHER IS LOOK AT THREE OR
3 FOUR ALTERNATIVES AS TO WHAT THAT PROCEDURE MAY BE, WHAT
4 THAT POLICY SHOULD BE, SO THAT WE HAVE AN OPPORTUNITY FOR
5 BUSINESS TO COMMENT ON, NO. 1, THE MAJOR ISSUE, WHICH I
6 THINK IS A VERY IMPORTANT ONE AND, NO. 2, WHAT ARE THE
7 ALTERNATIVES THAT THEY COULD LIVE WITH AS OPPOSED TO NOT.

8 MR. KLEIN: THE OTHER QUESTION THAT WAS ASKED
9 BEFORE YOU CAME IN THE ROOM, SCOTT, I THINK ALSO BY ALI,
10 PERHAPS YOU COULD SUMMARIZE WHAT THE PAYBACK TO THE STATE
11 PROVISIONS STIPULATE IN TERMS OF FOR-PROFIT SHARING THEIR
12 REVENUES WITH THE STATE. AND YOU MIGHT ALSO CUT OUT AND
13 DESCRIBE THE SPECIAL PROVISIONS THAT ADDRESS TOOLS.

14 MR. TOCHER: OKAY. WELL, THE REVENUE SHARING
15 IS SORT OF KEYED OFF OF TWO SORT OF BRANCHES. EITHER THE
16 GRANTEE WILL SORT OF SELF-DEVELOP A PRODUCT OR WILL
17 LICENSE A CIRM-FUNDED PATENTED INVENTION. SO TAKING THE
18 LATTER FIRST, IF A GRANTEE LICENSES AN INVENTION AND THAT
19 PATENTED INVENTION RETURNS REVENUES TO THE GRANTEE, THE
20 STATE, AFTER A \$500,000 THRESHOLD, WILL RECEIVE 25
21 PERCENT OF THOSE REVENUES. AND THEN THERE'S A FORMULA
22 WHICH ENTERS INTO THE PICTURE IF CIRM WAS NOT THE ONLY
23 SOURCE OF FUNDS FOR THAT PATENTED INVENTION.

24 IT'S A LITTLE TRICKIER IF THEY SELF-DEVELOP,
25 BUT WE'RE TRYING TO ENCOURAGE SELF-DEVELOPMENT. SO

BARRISTERS' REPORTING SERVICE

1 THERE, AGAIN, AFTER A \$500,000 THRESHOLD, AND HERE WE'RE
2 NOT JUST TALKING ABOUT PATENTED INVENTIONS BECAUSE CIRM
3 FUNDING COULD COME AT VARIOUS POINTS IN THE DEVELOPMENT
4 OF A PRODUCT THAT IS COMMERCIALIZED, BUT ONCE THE
5 REVENUES START COMING IN, IT'S CAPPED AT THREE TIMES
6 CIRM'S INVESTMENT. SO OBVIOUSLY IF WE PUT IN A MILLION
7 DOLLARS, WE'RE GOING TO GET BACK THREE.

8 IF THERE IS A -- HOWEVER, IF IT ACHIEVES MORE
9 THAN \$250 MILLION IN REVENUE, THAT COMMERCIALIZED
10 PRODUCT, THEN THE STATE WILL GET ANOTHER THREE TIMES.
11 AND THEN IF IT HITS A \$500 MILLION MARK, THE STATE WILL
12 GET A FINAL THREE TIMES AMOUNT, SO \$9 MILLION ON THE \$1
13 MILLION.

14 NOW, THERE'S AN EXTRA COMPONENT THERE, THAT IF
15 THERE'S A CIRM-FUNDED PATENTED INVENTION, THEN WHEN YOU
16 HIT THAT BLOCKBUSTER STATUS AT 500 MILLION, THERE WILL BE
17 AN ONGOING 1-PERCENT ROYALTY PAID TO THE STATE
18 THEREAFTER.

19 MR. KLEIN: IN ADDITION TO THE NINE TIMES
20 PAYBACK.

21 MR. TOCHER: THAT'S RIGHT. AND THEN THERE ARE
22 OTHER COMPONENTS. THAT'S THE FINANCIAL RETURN. I'M
23 SORRY, BOB, I'M FORGETTING THE OTHER PART OF YOUR
24 QUESTION.

25 MR. KLEIN: THE SPECIAL TREATMENT OF TOOLS IN

BARRISTERS' REPORTING SERVICE

1 TERMS OF THE RESEARCH COMMONS AVAILABILITY.

2 MR. TOCHER: I'M SORRY. IN TERMS OF THE
3 RESEARCH --

4 MR. KLEIN: COMMONS AVAILABILITY. WITH TOOLS
5 YOU HAVE A CARVE-OUT FOR THE OBLIGATION TO PROVIDE THESE
6 TO OTHER RESEARCH INSTITUTIONS IN CALIFORNIA.

7 MR. TOCHER: THAT'S RIGHT, YES. THAT HAS TO BE
8 SHARED AMONG OTHER CIRM-FUNDED RESEARCHERS THAT ARE IN
9 THE STATE. I WAS TRYING TO THINK OF A REVENUE COMPONENT
10 THERE.

11 DR. CHIU: IT ADDRESSES DR. BRIVANLOU'S
12 QUESTION ABOUT SHARING RESOURCES AMONG CIRM-FUNDED
13 GRANTEES.

14 MR. TOCHER: RIGHT.

15 DR. BRIVANLOU: THANK YOU.

16 DR. SAMBRANO: ANY OTHER QUESTIONS? DR. ORKIN,
17 I GUESS WE TURN IT BACK TO YOU.

18 CHAIRMAN ORKIN: ARE THERE ANY COMMENTS FROM
19 THE PUBLIC? I THINK THAT'S OUR LAST BUSINESS.

20 MR. VALENCIA: GOOD AFTERNOON. MY NAME IS JOHN
21 VALENCIA. I'M HERE REPRESENTING INVITROGEN CORPORATION
22 AND ALSO APPEARING ON BEHALF OF THE CALIFORNIA HEALTHCARE
23 INSTITUTE. BOTH ORGANIZATIONS AND COMPANY HAVE
24 PARTICIPATED IN THE STAKEHOLDERS DISCUSSION THAT WAS
25 REFERRED TO BY KUMAR. AND I JUST WANT TO REVISIT BRIEFLY

BARRISTERS' REPORTING SERVICE

1 IN THE CONTEXT OF TOPIC NO. 2 AND NO. 3 A MORE IMMEDIATE
2 AND DEFINITE RETURN ON INVESTMENT FROM CIRM EXPENDITURES,
3 PROP 71 EXPENDITURES.

4 THE FORMULA, AND THAT WAS AN EXCELLENT INQUIRY
5 BECAUSE THE FORMULA DESCRIBES THE SPECULATIVE POTENTIAL
6 RETURN TO THE VOTERS AND TO THE PEOPLE OF CALIFORNIA.
7 BOTH CHI AND INVITROGEN, A GLOBAL LEADER IN THE
8 DEVELOPMENT OF RESEARCH TOOLS, FEELS STRONGLY THAT MORE
9 PRECISE DEFINITION IS NECESSARY TO IMPLEMENT A PARTICULAR
10 CODE THAT'S NOW PART OF THE HEALTH AND SAFETY CODE AFTER
11 THE VOTER ENACTMENT OF PROP 71, ESPECIALLY IN LIGHT OF
12 THE VOTER PURPOSE, PROBABLY, AT LEAST IN MY ESTIMATION,
13 AFTER THE CORE PURPOSE OF STIMULATING STEM CELL RESEARCH,
14 STIMULATING THE STATE'S ECONOMY WAS RIGHT THERE AS 1A OR
15 PERHAPS PURPOSE NO. 2.

16 THE VOTERS STATED THAT A PRIME PURPOSE OF PROP
17 71 WAS TO BENEFIT THE CALIFORNIA ECONOMY BY CREATING
18 PROJECTS, JOBS, AND THERAPIES THAT WILL GENERATE MILLIONS
19 OF DOLLARS IN NEW TAX REVENUES FOR THE STATE, ADVANCE THE
20 BIOTECH INDUSTRY IN CALIFORNIA TO WORLD LEADERSHIP AS AN
21 ECONOMIC ENGINE FOR THE STATE'S FUTURE, AND, FINALLY, TO
22 PROTECT AND BENEFIT THE CALIFORNIA BUDGET.

23 THE ORGANIZATION, THE AGENCY, HAS A PARTICULAR
24 CHARGE IN OVERSIGHT, AND THIS WILL BE AN ADMINISTRATIVE
25 CHALLENGE THAT WE THINK DEFINITENESS WILL HELP THE AGENCY

BARRISTERS' REPORTING SERVICE

1 FULFILL. AND THAT'S ENSURING THAT ITS GRANTEES PURCHASE
2 GOODS AND SERVICES, A TERM THAT TOPIC 3 IDENTIFIES AS ONE
3 IN NEED OF DEFINITION AS OPPOSED TO WHAT MAY CONSTITUTE
4 RESEARCH, THAT THE GRANTEES PURCHASE GOODS AND SERVICES
5 FROM CALIFORNIA SUPPLIERS, A TERM ALSO IN NEED OF
6 DEFINITION WITHIN THE POLICY TO ULTIMATELY BE ADOPTED BY
7 THE AGENCY. ONE, BY THE WAY, FOR WHICH WE THINK THERE'S
8 A READILY AND NON-WHEEL REINVENTING BASIS ON WHICH TO
9 DRAW, AND THAT'S CALIFORNIA TAX LAW. IT'S VERY WELL
10 SETTLED IN MANY RESPECTS WHAT IS AND WHAT IS NOT A
11 CALIFORNIA ENTITY. AND IF EVER THERE WAS A BASIS THAT'S
12 BEEN WELL THOUGHT OUT AND WELL WORKED OVER, IT'S TAX LAW
13 SINCE THE BATTLES HAVE BEEN MANY AND THOROUGH IN TERMS OF
14 YOU WHO PAYS WHAT AND UNDER WHAT CIRCUMSTANCES.

15 FINALLY, TO ENSURE THAT THE GRANTEES IN GOOD
16 FAITH AND TO THE EXTENT REASONABLY NECESSARY ACHIEVE A
17 SPECIFIC GOAL, AND THAT'S 50 PERCENT OF THEIR PURCHASES
18 FROM CALIFORNIA SUPPLIERS. SO YOU CAN SEE THAT THE
19 STATUTE NOW IN PLACE IS IN NEED OF SEVERAL GAP FILLER AND
20 MORE PRECISE DEFINITIONS SO THAT THE FLOW LEADING BACK TO
21 THE AGENCY'S OVERSIGHT CHARGE CAN BE READILY HANDLED.
22 THOSE ARE OUR OBSERVATIONS AND CONTRIBUTIONS TODAY.

23 THANK YOU.

24 CHAIRMAN ORKIN: THANK YOU FOR YOUR COMMENTS.

25 ADDITIONAL COMMENTS FROM THE PUBLIC OR FROM THE

BARRISTERS' REPORTING SERVICE

1 COMMITTEE? ANYONE ELSE?

2 DR. MURPHY: STU, RICH MURPHY. ONLY TO THANK
3 THE WHOLE COMMITTEE FOR INVALUABLE INPUT INTO ALL OF
4 THESE DISCUSSIONS. YOU'RE HELPING US REALLY FORM AND
5 DEFINE THE FUTURE OF THE INSTITUTION AND OUR FUNDING, AND
6 IT'S VERY MUCH APPRECIATED.

7 CHAIRMAN ORKIN: THANK YOU.

8 MR. KLEIN: STU, THIS IS BOB. IN TERMS OF THE
9 LAST COMMENT, I'D LIKE TO EMPHASIZE THAT 50 PERCENT OF
10 PURCHASES FROM CALIFORNIA SUPPLIERS IS A GOAL. IT'S NOT
11 MEANT TO BE A GOAL THAT SUBORDINATES THIS OBJECTIVE TO
12 THE PRIMARY MISSION, WHICH IS TO ADVANCE MEDICAL SCIENCE.
13 SO TO THE EXTENT THAT THE SCIENTIFIC EXPERIMENTS REQUIRE
14 THE HIGHER PERCENTAGE OF THE SUPPLIES BE OUT-OF-STATE TO
15 ACCOMPLISH THE SCIENTIFIC MISSION, WHICH HAS TO BE
16 DOMINANT, THAT'S THE OBJECTIVE THAT IS PRIMARY. THIS IS
17 A SUBORDINATE GOAL WHICH HAS TO REMAIN PROPERLY IN
18 CONTEXT.

19 CHAIRMAN ORKIN: THANK YOU. I THINK WE'RE
20 PROBABLY DONE FOR THIS AFTERNOON. I'D LIKE TO THANK
21 EVERYONE, AND I THINK WE ARE ADJOURNED.

22 DR. CHIU: THANK YOU SO MUCH, STU AND EVERYBODY
23 WHO CALLED IN.

24 (THE MEETING WAS THEN ADJOURNED.)

25

BARRISTERS' REPORTING SERVICE

REPORTER' S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE SCIENTIFIC AND MEDICAL GRANTS WORKING GROUP OF THE INDEPENDENT CITIZEN' S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

210 KING STREET
SAN FRANCISCO, CALIFORNIA
ON
SEPTEMBER 19, 2007

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.



BETH C. DRAIN, CSR 7152
BARRISTER' S REPORTING SERVICE
1072 S. E. BRISTOL STREET
SUITE 100
SANTA ANA HEIGHTS, CALI FORNIA
(714) 444-4100