

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: LUXE HOTEL
11461 SUNSET BOULEVARD
LOS ANGELES, CALIFORNIA

DATE: OCTOBER 27, 2009
4:30 P.M.

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO.: 84474

BARRISTERS' REPORTING SERVICE

I N D E X

ITEM	DESCRIPTION	PAGE NO.
	CALL TO ORDER	5, 110
	ROLL CALL	6, 110
	REPORTS	
4.	CHAIRMAN'S REPORT.	7, 259
5.	PRESIDENT'S REPORT.	14
	ACTION ITEMS	
6.	CONSIDERATION OF RESOLUTIONS REGARDING: A. ALTA CHARO, FOUNDING MEMBER OF STANDARDS WORKING GROUP; AND B. DON FISHER, CIRM SUPPORTER AND COMMUNITY LEADER.	230
7.	CONSIDERATION OF ITEMS RELATING TO THE GRANTS WORKING GROUP, INCLUDING BUT NOT LIMITED TO: A. APPOINTMENT OF NEW SCIENTIFIC MEMBERS; B. APPOINTMENT OF CO-VICE CHAIR; AND C. AMENDMENTS TO THE GRANTS WORKING GROUP BYLAWS RELATED TO THE PROCESS FOR APPOINTMENT AND SELECTION OF GWG CHAIRS.	231
8.	CONSIDERATION OF RECOMMENDATIONS FROM FINANCE SUBCOMMITTEE REGARDING CRITERIA FOR EVALUATION OF ELIGIBILITY FOR RECOURSE LOANS AND PROCESS FOR FINANCIAL REVIEW OF LOAN APPLICATIONS.	221
9.	CONSIDERATION OF AMENDMENT TO THE LOAN ADMINISTRATION POLICY, INCLUDING BUT NOT LIMITED TO, A PROPOSAL TO ELIMINATE THE REQUIREMENT THAT APPLICANTS IDENTIFY WHETHER THEY WOULD ACCEPT A NON-RECOURSE LOAN IF THEY ARE NOT ELIGIBLE FOR A RECOURSE LOAN.	224
10.	CONSIDERATION OF CONTRACT FOR LEGAL SERVICES WITH NANCY J. KOCH, J.D.	225
		PAGE NO.

BARRISTERS' REPORTING SERVICE

11. CONSIDERATION OF RECOMMENDATIONS FROM GRANTS WORKING GROUP ON APPLICATIONS FOR DISEASE TEAM RESEARCH AWARDS. 47

CLOSED SESSION

12. A. DISCUSSION OF CONFIDENTIAL INTELLECTUAL PROPERTY OR WORK PRODUCT AND PREPUBLICATION, CONFIDENTIAL SCIENTIFIC RESEARCH OR DATA, AND FINANCIAL INFORMATION RELATING TO APPLICATIONS FOR DISEASE TEAM RESEARCH AWARDS, INCLUDING GRANTS AND LOANS. (HEALTH & SAFETY CODE 125290.30(D) (3) (B) AND (C)).

B. DISCUSSION OF PERSONNEL (GOVERNMENT CODE SECTION 11126, SUBDIVISION (A); HEALTH & SAFETY CODE SECTION 125290.30(D) (3) (D)).

PUBLIC REPORT OF ANY ACTION TAKEN, IF NECESSARY, DURING CLOSED SESSION.

ACTION ITEMS

13. CONTINUATION OF CONSIDERATION OF RECOMMENDATIONS FROM GRANTS WORKING GROUP ON APPLICATIONS FOR DISEASE TEAM RESEARCH AWARDS. 112, 250

14. CONSIDERATION OF FINANCIAL APPROVAL OF APPLICATIONS FOR RECOURSE OR NON-RECOURSE DISEASE TEAM RESEARCH AWARD LOANS.

15. CONSIDERATION OF UPDATE TO STRATEGIC PLAN. 267

PAGE NO.

REPORT ON OPERATIONS

BARRISTERS' REPORTING SERVICE

16. CONSIDERATION OF APPOINTMENT OF NEW MEMBER(S) TO THE STANDARDS WORKING GROUP. 252

17. CONSIDERATION OF REVISIONS TO CIRM MEDICAL AND ETHICAL STANDARDS REGULATIONS SECTIONS 100070, 100080 AND 100090. 219

CIRM MEDICAL AND ETHICAL STANDARDS REVISIONS KEY

18. CONSIDERATION OF TRAVEL SUPPLEMENT FOR CIRM BRIDGES TO STEM CELL RESEARCH AWARDS, RFA 08-04. 254

DISCUSSION ITEMS

19. DISCUSSION OF RESULTS OF SURVEY OF GRANTS WORKING GROUP MEMBERS REGARDING PUBLIC DISCLOSURE OF FINANCIAL INTERESTS. 274

20. PUBLIC COMMENT. NONE

BARRISTERS' REPORTING SERVICE

1 LOS ANGELES, CALIFORNIA; TUESDAY, OCTOBER 27, 2009

2 4:30 P.M.

3

4

CHAIRMAN KLEIN: MELISSA KING, COULD YOU
5 ADVISE THE CHAIR ON THE BOARD ON HOW WE'RE DOING IN
6 THE TRANSIT PROGRESS OF BOARD MEMBERS?

7

8

MS. KING: WE HAVE 18 PEOPLE PRESENT RIGHT
9 NOW, INCLUDING MARCY FEIT, WHO'S JOINING BY PHONE.
10 QUICK CHECK. MARCY, CAN YOU HEAR ME? I UNDERSTOOD
11 SHE WAS ON THE LINE. MAYBE SHE STEPPED AWAY FROM
12 THE PHONE BRIEFLY. WE HAVE A COUPLE OF MEMBERS THAT
I KNOW ARE ON THEIR WAY RIGHT NOW.

13

14

15

16

17

18

19

CHAIRMAN KLEIN: WITH THAT COUNT, I'M
GOING TO PROCEED THROUGH THE BASIC INTRODUCTORY
MATERIAL SO WE CAN MOVE THIS ALONG. WE DO
UNDERSTAND THAT THE SANTA ANA WINDS HAVE EVIDENTLY
WHIPPED UP THE WINDS AT THE AIRPORT AND MAY HAVE
SLOWED DOWN SOME OF THE PEOPLE COMING IN FROM
NORTHERN CALIFORNIA.

20

21

22

I'D LIKE TO WELCOME EVERYONE TO LOS
ANGELES AND ASK IF MELISSA KING COULD LEAD US IN THE
PLEDGE OF ALLEGIANCE.

23

(THE PLEDGE OF ALLEGIANCE.)

24

25

CHAIRMAN KLEIN: AND, MELISSA, IF YOU
COULD PROCEED THROUGH THE ROLL CALL, PLEASE.

BARRISTERS' REPORTING SERVICE

1 MS. KING: BEFORE I DO THAT, I JUST WANT
2 TO LET EVERYBODY ON THE BOARD KNOW, BECAUSE I DID
3 GET THIS QUESTION ASKED A COUPLE OF TIMES, YOU DO
4 HAVE COPIES OF THE EXTRAORDINARY PETITIONS THERE IN
5 THE LEFT FRONT COVER OF YOUR BINDER IN THE POCKET
6 THERE. THEY'RE THE DOCUMENTS THAT ARE STAPLED
7 TOGETHER, AND THERE ARE SIX OF THEM.

8 RICARDO AZZIZ. ROBERT PRICE FOR ROBERT
9 BIRGENEAU.

10 DR. PRICE: PRESENT.

11 MS. KING: FLOYD BLOOM.

12 DR. BLOOM: HERE.

13 MS. KING: DAVID BRENNER. WILLIAM BRODY.
14 JACOB LEVIN FOR SUSAN BRYANT.

15 DR. LEVIN: HERE.

16 MS. KING: MARCY FEIT.

17 MS. FEIT: HERE.

18 MS. KING: MICHAEL FRIEDMAN. LEEZA
19 GIBBONS.

20 MS. GIBBONS: HERE.

21 MS. KING: MICHAEL GOLDBERG. SAM HAWGOOD.
22 BOB KLEIN.

23 CHAIRMAN KLEIN: PRESENT.

24 MS. KING: SHERRY LANSING.

25 MS. LANSING: HERE.

BARRISTERS' REPORTING SERVICE

1 MS. KING: GERALD LEVEY.
2 DR. LEVEY: HERE.
3 MS. KING: TED LOVE.
4 DR. LOVE: HERE.
5 MS. KING: ED PENHOET.
6 DR. PENHOET: HERE.
7 MS. KING: PHIL PIZZO. CLAIRE POMEROY.
8 DR. POMEROY: HERE.
9 MS. KING: FRANCISCO PRIETO.
10 DR. PRIETO: HERE.
11 MS. KING: CARMEN PULIAFITO. ROBERT
12 QUINT. JEANNIE FONTANA FOR JOHN REED.
13 DR. FONTANA: HERE.
14 MS. KING: DUANE ROTH.
15 MR. ROTH: HERE.
16 MS. KING: JOAN SAMUELSON.
17 MS. SAMUELSON: HERE.
18 MS. KING: DAVID SERRANO-SEWELL. JEFF
19 SHEEHY.
20 MR. SHEEHY: HERE.
21 MS. KING: JON SHESTACK. OSWALD STEWARD.
22 DR. STEWARD: HERE.
23 MS. KING: ART TORRES.
24 MR. TORRES: HERE.
25 CHAIRMAN KLEIN: THANK YOU VERY MUCH. IN

BARRISTERS' REPORTING SERVICE

1 CALLING THIS TO ORDER HERE IN THE WEST SIDE OF LOS
2 ANGELES, THANK DR. LEVEY FOR THE WEATHER.

3 MS. LANSING: HE'S TOTALLY RESPONSIBLE FOR
4 THE WINDS. THAT'S IT.

5 CHAIRMAN KLEIN: WHEN YOU CONTROL THE GODS
6 OF THE WINDS, YOU'RE UP THERE IN THE HIERARCHY. I'D
7 LIKE TO THANK JENNIFER PRYNE AND MELISSA KING FOR
8 GETTING THIS SESSION PUT TOGETHER LOGISTICALLY AND
9 NICK WARSHAW FOR HIS HELP IN THAT EFFORT. WE HAVE
10 ONE MEMBER JOINING BY PHONE TONIGHT AND TOMORROW,
11 MARCY FEIT, WHO'S ON THE PHONE. AND COULD THE STAFF
12 PLEASE, IF I'M NOT HEARING MARCY WHO WANTS TO MAKE A
13 COMMENT, PLEASE STAND AND NOTIFY ME SO THAT I'M
14 AWARE THAT SHE'S TRYING TO MAKE COMMENT IN
15 DISCUSSION.

16 WE APPRECIATE, MARCY, THE SPECIAL EFFORT
17 YOU'RE MAKING FOR THIS SESSION.

18 THE SESSION THAT WE'RE COMMENCING TODAY
19 MARKS A CRITICAL, A HISTORIC BENCHMARK FOR THIS
20 AGENCY AND THIS BOARD AS WE PROCEED TO MOVE DOWN THE
21 PIPELINE TOWARDS PATIENTS AND PATIENT THERAPIES. IT
22 IS A TREMENDOUS PRIVILEGE FOR US TO BE AT THIS
23 POINT. IT IS A PRIVILEGE BECAUSE WE ON THE BOARD,
24 AN EXTRAORDINARY BOARD OF EXCEPTIONAL INDIVIDUALS,
25 ARE LIFTED BY THE WORK OF OUR WORKING GROUPS,

BARRISTERS' REPORTING SERVICE

1 REPRESENTING PEOPLE THROUGHOUT CALI FORNIA AND
2 THROUGHOUT THIS COUNTRY AND AROUND THE WORLD WHO
3 HAVE CONTRIBUTED THEIR TIME, AND WE'RE ANCHORED BY
4 AN INCREDIBLE STAFF OF PASSION AND COMMITMENT.

5 WE HAVE THE OPPORTUNITY TO HONOR IN AN
6 UNPRECEDENTED FASHION THE VISION OF 7 MILLION
7 CALI FORNIA VOTERS. IN THAT PROCESS IT'S IMPORTANT
8 AS WE PROCEED TO RECOGNIZE AWARDS THAT ARE GIVEN TO
9 INDIVIDUALS SERVING ON OUR WORKING GROUPS. ONE OF
10 THOSE INDIVIDUALS IS DR. ALTA CHARO, WHO BEGAN HER
11 INVOLVEMENT THROUGH THE NATIONAL ACADEMIES' TASK
12 FORCE ON THE MEDICAL AND ETHICAL STANDARDS
13 DEVELOPMENT. SHE PARTICIPATED AS A LEADER IN THE
14 NATIONAL ACADEMY WORKSHOP AT THE BECKMAN CENTER IN
15 IRVINE IN DECEMBER OF 2004 BEFORE OUR BOARD WAS EVEN
16 FORMED. SHE LATER SERVED AS A MEDICAL AND ETHICAL
17 STANDARDS ADVISOR TO OUR BOARD ITSELF IN THE
18 FORMATION OF THE STANDARDS WORKING GROUP, AND SHE
19 HAS SERVED WITH US FOR FIVE YEARS.

20 DR. CHARO IS NOW GOING TO TAKE A LEAVE
21 BECAUSE SHE HAS BEEN MADE A SENIOR ADVISOR IN THE
22 OFFICE OF THE COMMISSIONER AT THE FDA OVER THE NEXT
23 YEAR, A TREMENDOUS ACCOMPLISHMENT AND POINT OF
24 RECOGNITION.

25 IN ADDITION, I WOULD LIKE TO POINT OUT TWO

BARRISTERS' REPORTING SERVICE

1 OTHER MEMBERS OF OUR STANDARDS WORKING GROUP WHO
2 HAVE RECENTLY BEEN RECOGNIZED FOR THEIR EXCEPTIONAL
3 SERVICE AND SCIENTIFIC ABILITY. DR. JANET ROWLEY
4 WAS AWARDED THE GRUBER GENETICS PRIZE FOR CANCER
5 RESEARCH, AND DR. ANN KIESSLING WAS AWARDED THE
6 HESKEL GABBAY AWARD IN BIOTECHNOLOGY AND MEDICINE
7 FOR SIGNIFICANT CONTRIBUTIONS IN THE FIELD OF
8 ASSISTED HUMAN REPRODUCTION. SO WE SERVE WITH THE
9 BENEFIT OF SOME EXTRAORDINARY INDIVIDUALS FOR WHOM
10 WE HAVE TREMENDOUS RESPECT AND APPRECIATION.

11 IT IS ALSO VITAL TO RECOGNIZE AS WE GO
12 FORWARD THAT WHILE THE 7 MILLION VOTES EMPOWERED
13 THIS AGENCY WITH THE FINANCIAL AUTHORIZATION FROM
14 THIS INITIATIVE, IT IS THE CONTINUING CONTRIBUTION
15 AND COLLABORATIVE SUPPORT OF THE GOVERNOR'S OFFICE
16 WITH MIKE GENEST AND HIS TEAM AT THE DEPARTMENT OF
17 FINANCE AND TREASURER LOCKYEAR AND HIS STAFF THAT WE
18 HAVE MOVED SO SUCCESSFULLY FORWARD TO MEET IN A
19 TIMELY WAY OUR FINANCIAL NEEDS TO KEEP THE
20 CONTINUITY OF OUR FUNDING AND TO PROVIDE THE
21 ASSURANCES TO THE COMPLEX LARGEST INTERINSTITUTIONAL
22 TEAMS THAT ARE PART OF THE DISEASE TEAM AWARDS,
23 INCLUDING PARTICULARLY THE INTERNATIONAL
24 COLLABORATIONS, THAT THE FUNDING IS THERE DESPITE A
25 STRONG AND CONSTANT DRUMBEAT OF PUBLICITY ABOUT THE

BARRISTERS' REPORTING SERVICE

1 CALI FORNIA ECONOMY AND THE CALI FORNIA BUDGET.

2 IT IS AN ARTICLE OF FAITH THAT SEVEN
3 NATIONS HAVE JOINED TOGETHER WITH US IN BI LATERAL
4 AGREEMENTS GIVEN THE STATEMENTS THAT CALI FORNIA
5 CANNOT MAKE THE COMMITMENTS FOR THE FUTURE THAT ARE
6 SO CRITICAL TO ITS PEOPLE. CERTAINLY AT THIS POINT
7 I 'VE TALKED TO MEMBERS OF THE FINANCE AND/OR LEGAL
8 TEAMS OF SIX OUT OF THOSE SEVEN NATIONS THAT HAVE
9 JOINED WITH US IN BI LATERAL AGREEMENTS AND PROVIDED,
10 WITH THE ASSISTANCE OF JAMES HARRISON, A STATUTORY
11 ANALYSIS, CONSTITUTIONAL ANALYSIS, BUDGET ANALYSIS,
12 AND CONFIRMATIONS OUT OF OUR ABILITY TO FUND THIS
13 RESEARCH.

14 IT IS VITAL TO CALI FORNIA TO BE ABLE TO
15 LEVERAGE OUR RESEARCH OF OUR CALI FORNIA SCIENTISTS
16 AND ALLOW THEM FROM A GROUND-UP BASIS TO JOIN WITH
17 THE BEST MINDS IN THESE COUNTRIES TO ADVANCE THE
18 RESEARCH BECAUSE IT LEVERAGES THE FUNDS OF
19 CALI FORNIA VOTERS AND ACCELERATES THERAPIES FOR
20 PATIENTS. BUT I WILL TELL YOU HAVING THE FUNDS IN
21 THE BANK TO FUND THESE INTERNATIONAL COLLABORATIONS
22 IS VERY PERSUASIVE. AND WITH THAT WE PARTICULARLY
23 APPRECIATE THE GOVERNOR' S OFFICE, THE DEPARTMENT OF
24 FINANCE' S SUPPORT, AND TREASURER LOCKYEAR AND HIS
25 STAFF IN THE MOST RECENT BOND ISSUE WHERE WE

BARRISTERS' REPORTING SERVICE

1 RECEIVED 118 MILLION OF NEW MONEY, WHICH GIVES US
2 THE CAPACITY TO MOVE THROUGH TO OUR GOAL OF DECEMBER
3 2010 WITH A BUFFER.

4 NOW, AS WE GO FORWARD, BECAUSE THERE ARE
5 OPPORTUNISTIC PROGRAMS AND ADDITIONAL APPLICATIONS
6 WHICH AT TIMES MAY EXCEED THE TARGET FOR ANY
7 PARTICULAR GRANT CYCLE, PART OF THAT BUFFER MAY BE
8 USED. BUT WE CAN PROVIDE ASSURANCES TO OUR
9 INSTITUTIONS WHO ARE STRAPPED AND CAN'T GET OUT ON A
10 LIMB IF WE CAN'T FUND THESE GRANTS WE'RE APPROVING.
11 WE CAN PROVIDE THEM REALLY STRONG COMFORT THAT WE
12 HAVE THE FUNDS AVAILABLE TO MAKE THIS GRANT PROGRAM
13 WORK AND DRIVE IT SMOOTHLY FORWARD IN A VERY ADVERSE
14 ENVIRONMENT, BUT A CRITICAL, CRITICAL PROGRESS THAT
15 HAS TO BE MAINTAINED IF OUR MANDATE FOR PATIENTS,
16 OUR MISSION THAT 7 MILLION VOTERS DIRECTED US TO
17 ACCOMPLISH IS TO BE FULFILLED.

18 SO IT IS WITH THE BENEFIT OF THOSE DOLLARS
19 THAT WE MOVE FORWARD INTO THE DISEASE TEAM
20 COMPETITION WHICH WILL BE A CENTRAL CORE OF OUR NEXT
21 TWO DAYS. I WOULD LIKE TO SAY THAT IT IS IMPORTANT
22 NOT TO LOOK AT THOSE GRANTS THAT HAVE LOWER RANKINGS
23 AND BELIEVE THAT THE SCIENCE IS NOT GREAT SCIENCE.
24 THAT LOWER RANKING MAY BE BECAUSE THE PEER REVIEW
25 GROUP THOUGHT IT SHOULD BE A TRANSLATIONAL GRANT.

BARRISTERS' REPORTING SERVICE

1 THE LOWER RANKING MAY BE BECAUSE THERE WAS A TIMING
2 PROBLEM IN GETTING CRITICAL DATA IN UNDER OUR SYSTEM
3 WHICH DOES NOT ALLOW FOR INTERIM SUBMISSIONS PRIOR
4 TO THE PEER REVIEW WORKING GROUP DESPITE THE
5 SIGNIFICANT TIME BETWEEN THE APPLICATION CUTOFF AND
6 THE PEER REVIEW.

7 WE MIGHT, IN FACT, IN THE DECEMBER MEETING
8 LOOK AT THAT BARRIER AND SEE IF, IN FACT, IT SHOULD
9 BE AMENDED BECAUSE THESE TEAMS, SOMETIMES INVOLVING
10 50 OR 60 INDIVIDUALS, CAN PRODUCE SOME VERY CRITICAL
11 DATA OVER FAIRLY SHORT PERIODS OF TIME. AND THE
12 QUESTION IS DO WE WANT TO HAVE AN OPPORTUNITY FOR
13 DATA TO COME IN PRIOR TO THE PEER REVIEW EVALUATION
14 OCCURRING WITH ENOUGH TIME FOR THE OUTSIDE REVIEWERS
15 TO PROPERLY ANALYZE THE DATA AND DO THE REVIEW.

16 IT MAY ALSO BE THAT A PARTICULAR PROPOSED
17 AWARD WAS DOWNSTREAM ESSENTIALLY AT A CLINICAL TRIAL
18 STAGE; AND, OF COURSE, THIS IS A ROUND WHERE WE'RE
19 TRYING TO GET TO APPLICATIONS THAT WITHIN 48 MONTHS
20 HAVE -- THE CONVINCING EVIDENCE THAT WITHIN 48
21 MONTHS THEY CAN GET TO A PHASE I APPROVAL. SO IF AN
22 APPLICATION IS AT A CLINICAL TRIAL STAGE, FOR
23 EXAMPLE, IN ANY OF THESE ROUNDS, IT WOULDN'T FIT
24 INTO A DISEASE TEAM ROUND. IT WOULD BE APPROPRIATE
25 FOR A CLINICAL TRIAL ROUND.

BARRISTERS' REPORTING SERVICE

1 SO IT IS IMPORTANT TO RECOGNIZE THAT WE
2 HAVE SOME GREAT SCIENCE AMONG SOME OF THESE OTHER
3 APPLICATIONS. I THINK THE SCIENCE TEAM HAS BEEN
4 VERY CLEAR THAT THAT GREAT SCIENCE IN SOME CASES
5 WILL CERTAINLY BE PICKED UP IN LATER ROUNDS, WHETHER
6 THE TRANSLATIONAL ROUNDS, WHETHER THE CLINICAL TRIAL
7 ROUNDS, BUT WHAT WE'RE TRYING TO DO TODAY AND
8 TOMORROW IS CAPTURE THOSE THAT ARE PREPARED TO MEET
9 THE STANDARDS FOR THIS ROUND AT THIS TIME.

10 WITH THAT, I'D LIKE TO INVITE MELISSA KING
11 TO MAKE A COMMENT.

12 MS. KING: I JUST WANTED TO STATE FOR THE
13 RECORD THAT ICOC MEMBERS RICARDO AZZIZ AND ROBERT
14 QUINT HAVE JOINED THE MEETING, AND WE DO HAVE A
15 QUORUM.

16 CHAIRMAN KLEIN: THANK YOU VERY MUCH. DR.
17 TROUNSON, IF YOU WILL TAKE THE PODIUM, PLEASE.

18 DR. TROUNSON: SO SORRY, MR. CHAIRMAN.
19 THERE ARE A FEW FINGERS IN THE SLIDES THAT I'M GOING
20 TO SHOW. SO GOOD AFTERNOON, BOARD. AND I WANT TO
21 START WITH SOMETHING THAT'S A BIT UNUSUAL.
22 ELIZABETH BLACKBURN HAS WON THE NOBEL PRIZE THIS
23 YEAR FOR MEDICINE. AND I THINK IT'S AN OUTSTANDING
24 ACHIEVEMENT. AND I HAVEN'T BROUGHT HER NAME FORWARD
25 BECAUSE SHE'S AN AUSTRALIAN, BECAUSE SHE IS, BUT SHE

BARRISTERS' REPORTING SERVICE

1 CLEARLY HAS AN APPOINTMENT AT THE UCSF. AND HER
2 PRIMARY WORK DONE THERE ON TELOMERASE RESULTED IN
3 HER GETTING AWARDED THE NOBEL PRIZE. BUT IT'S ALSO
4 A VERY IMPORTANT DISCOVERY FOR STEM CELLS BECAUSE IT
5 IS THE ADDITION OF THE TIPS, IF YOU LIKE, TO THE
6 CHROMOSOMES THAT ALLOWS THE CELLS TO CONTINUE TO
7 DIVIDE, AS FAR AS WE KNOW, IN AN IMMORTAL WAY.

8 SO WITH TELOMERASE YOU KEEP ADDING ON TO
9 THE ENDS OF THE CHROMOSOMES, THE SO-CALLED
10 TELOMERES, AND THEY ALLOW THE CELL TO CONTINUE
11 DIVIDING. AS THE TELOMERES SHORTEN WHEN THERE IS NO
12 TELOMERASE IN THE NORMAL CELLS OF YOUR BODY, THERE'S
13 A CERTAIN LIFETIME TO THE CELL'S ABILITY TO DIVIDE.

14 AND SO THIS IS AN EXTREMELY IMPORTANT
15 DEVELOPMENT NOT ONLY IN STEM CELLS, OF COURSE, IN
16 CANCER AND MANY OTHER AREAS. AND I THINK WE OUGHT
17 TO REJOICE IN LIZ WINNING THIS NOBEL PRIZE. IF YOU
18 HAVEN'T MET HER, MAYBE WE SHOULD SOMETIME GET HER TO
19 COME TO THE BOARD, CHAIR, BECAUSE SHE WOULD PROBABLY
20 ENJOY MEETING ALL OF US. SHE STOOD UP FOR ALL OF US
21 AT A TIME WHEN PRESIDENT BUSH WASN'T SO SUPPORTIVE,
22 AND SHE GOT HERSELF LOST OFF THE ETHICS COMMITTEE.
23 I THINK REALLY SHE REALLY DIDN'T EXPRESS THE RIGHT
24 KIND OF SENTIMENTS, AS I UNDERSTOOD. SO SHE'S A
25 VERY SPECIAL PERSON. AND AS I SAID, IT'S NOT ONLY

BARRISTERS' REPORTING SERVICE

1 BECAUSE SHE' S AUSTRALI AN.

2 THE NEXT. SOMEBODY SAID AUSTRALI ANS ARE
3 TAKING OVER. NOT TRUE.

4 SO THE NEXT ONE YOU KNOW VERY WELL. AND,
5 OF COURSE, I THINK WE ALSO NEED TO REJOICE IN BOB
6 KLEIN WINNING THE GORDON AND LLURA GUND LEADERSHIP
7 AWARD WHICH WAS JUST RECENTLY ANNOUNCED BY RESEARCH
8 AMERI CA.

9 (APPLAUSE.)

10 DR. TROUNSON: I THINK IT' S AS GOOD AS YOU
11 GET, BOB, TO A NOBEL PRIZE. MAYBE THE PEACE PRIZE.

12 CHAIRMAN KLEIN: DR. TROUNSON, I N
13 RECOGNIZING BOARD MEMBERS, I WAS GOING TO SAVE IT
14 FOR A SPECIAL CELEBRATION, BUT I THINK IT' S A VERY
15 HIGH HONOR IN THIS COUNTRY TO BE NAMED AS A
16 SCI ENTIFIC ADVISOR TO THE PRESIDENT. AND ON THAT
17 SCI ENTIFIC COUNCIL, DR. PENHOET HAS THAT HIGH HONOR,
18 AND I THINK WE SHOULD GIVE HIM A ROUND OF APPLAUSE.

19 (APPLAUSE.)

20 DR. TROUNSON: THAT' S VERY IMPORTANT. AND
21 I DIDN' T KNOW THAT IT HAD BEEN RATIFIED YET, ED. IT
22 HAS, HAS IT? GOOD. GREAT. THAT' S FANTASTIC.
23 WONDERFUL.

24 SO THE NEXT SLIDE. NOW, BACK INTO THE
25 SCI ENCE. AND SO IN THIS FIRST ONE, FIRST SLIDE THAT

BARRISTERS' REPORTING SERVICE

1 I WANTED TO SHOW YOU IS REALLY ABOUT PROSTATE CANCER
2 STEM CELLS. THERE'S AN ARGUMENT, AS YOU KNOW,
3 WHETHER SOLID TUMORS OR TUMORS AND CANCERS REALLY
4 HAVE CANCER STEM CELLS. AND I THINK THIS IS A PAPER
5 THAT WAS PUBLISHED IN *NATURE* IN SEPTEMBER ONLINE,
6 THE 24TH OF SEPTEMBER. AND IT SHOWS THAT IN THE
7 MOUSE THERE ARE RARE LUMINAL CELLS IN THE PROSTATE
8 THAT EXPRESS A GENE CALLED NKX-3.1. IT'S A HOMEBOX
9 GENE, AND IN THE ABSENCE OF TESTICULAR ANDROGENS ARE
10 BIOPOTENTIAL FOR SELF-RENEWAL SO THAT THEY
11 PRODUCE -- THEY RENEW, BUT THEY ALSO PRODUCE OTHER
12 CELLS, SO THEY'RE, IN FACT, A STEM CELL.

13 THESE CELLS ARE CAPABLE OF FORMING
14 PROSTATE DUCTS IN RENAL GRAFTS USING SERIAL SINGLE
15 TRANSPLANT ASSAYS. SO IF YOU TAKE A SINGLE CELL,
16 YOU CAN ACTUALLY GET IT TO PRODUCE PROSTATE TISSUE
17 WHICH GIVES YOU THE SENSE THAT THIS IS A GENUINE
18 PROSTATE STEM CELL.

19 AND IF YOU DELETE IN A TARGETED WAY THE
20 PTEN TUMOR SUPPRESSOR GENE IN THESE NKX-3.1 CELLS,
21 YOU RAPIDLY INDUCE A CARCINOMA FORMATION AFTER
22 ANDROGEN-MEDIATED REGENERATION. YOU ADD BACK THE
23 ANDROGEN, AWAY IT GOES. SO IF YOU'VE GOT PROSTATE
24 CANCER, OF COURSE, YOU TRY TO CUT DOWN THE ANDROGEN.
25 THIS IS THE WAY THEY DISCOVERED THAT. SO THERE'S A

BARRISTERS' REPORTING SERVICE

1 POPULATION OF LUMINAL CELLS THAT WERE SUSCEPTIBLE TO
2 THE ONCOGENIC OR THE CANCER TRANSFORMATION. THEY'RE
3 A POTENTIAL TARGET FOR CANCER STEM CELL THERAPIES.

4 AND I HAVEN'T GOT A POINTER HERE TO GET
5 YOU TO IT, BUT THERE ARE SOME GREEN DOTS ON THE
6 RIGHT-HAND SIDE WHICH ARE THE CELLS IN THE LUMEN.
7 THEY'RE THE ONES UNDER ANDROGEN BLOCKADE THAT
8 APPEAR. SO THESE ARE THE GREEN CELLS HERE. THIS
9 IS -- IF YOU REMOVE ANDROGEN, YOU GET THE TUMOR TO
10 REGRESS, AND YOU CAN SEE THESE CELLS VERY CLEARLY.
11 AND THEN IF YOU THEN TARGET THIS DELETION AND THEN
12 PUT THE ANDROGEN BACK, YOU GET A VERY AGGRESSIVE
13 CARCINOMA.

14 SO I THINK IN A PROSTATE TISSUE THERE ARE
15 DEFINITELY STEM CELLS THERE, SO THIS IS PROOF OF
16 CONCEPT, IF YOU LIKE, OF HAVING THE CELLS THERE.

17 THE SECOND ONE IS ALSO A CANCER PAPER, AND
18 I THINK IT WAS DRAWN TO MY ATTENTION BY THE STAFF.
19 AND IT'S THE TREATMENT OF MEDULLOBLASTOMAS, SO WITH
20 A HEDGEHOG PATHWAY INHIBITOR CALLED GDC-0449. AND
21 THE INTEREST HERE IS THAT THE MEDULLOBLASTOMA IS THE
22 MOST COMMON BRAIN TUMOR IN CHILDREN. IT'S THE MOST
23 COMMON FORM OF BRAIN TUMOR IN CHILDREN. AND IT'S
24 APPARENT THAT YOU SEE -- THIS IS A DRAWING OF HOW
25 THESE VERY AGGRESSIVE MEDULLOBLASTOMAS FORM. THEY

BARRISTERS' REPORTING SERVICE

1 CAN COME FROM THIS PARTICULAR ORIGINS, FROM THE
2 VENTRICULAR ZONE, OR IT CAN COME FROM THE EGL
3 PROGENITORS OR OTHER PATHWAYS.

4 BUT IT'S IN THIS PARTICULAR PATHWAY THAT
5 THE HEDGEHOG ACTIVATION IS ONE WHICH LEADS YOU
6 TOWARDS THE CONDITION OF THE MEDULLOBLASTOMA. AND
7 SO IF YOU PUT IN A NORMAL HEDGEHOG INHIBITOR IN
8 PATIENTS WHO HAVE GOT THIS FORM OF MEDULLOBLASTOMA,
9 YOU GET A VERY RAPID TUMOR REGRESSION.

10 SO I THINK, AGAIN, THE HEDGEHOG MOLECULE
11 IS A STEM CELL MOLECULE. IT'S PART OF THE STEM CELL
12 REPERTOIRE, IF YOU LIKE, FOR THE DEVELOPING STEM
13 CELL IN THE BRAIN. AND SO HERE IS ANOTHER EXAMPLE,
14 I THINK, OF THE CONNECTION BETWEEN STEM CELLS AND
15 SERIOUS CANCERS AND VERY COMMONLY SERIOUS CANCERS.

16 THE NEXT STUDY IS MOVING NOW TO THE NEED
17 TO VIRALLY TRANSFECT ADULT CELLS TO PRODUCE IPS
18 CELLS. THERE'S AN ENORMOUS ENERGY NOW IN THE
19 SCIENTIFIC AREA ON IPS CELLS. AND THESE CELLS HAVE
20 BEEN DIFFICULT TO GROW, RELATIVELY DIFFICULT TO
21 GROW, AND IT'S NOW BEEN SHOWN BY A NUMBER OF LABS,
22 AND TWO LABS IN PARTICULAR, THE ONE FROM KEVIN
23 EGGAN'S LAB, WHO'S A WELL-KNOWN YOUNG RESEARCHER
24 WHO'S DOING VERY, VERY GOOD WORK AT THE HARVARD STEM
25 CELL INSTITUTE, PUBLISHED IN *CELL STEM CELLS*. HE'S

BARRISTERS' REPORTING SERVICE

1 SHOWN THAT A SMALL MOLECULE INHIBITOR OF THE TGF-B,
2 THE SIGNALING PATHWAY, AND THIS MOLECULE THEY'VE
3 CALLED REPSOX. THIS IS THE MOLECULE HERE. IT'S
4 BEEN IDENTIFIED THROUGH HIGH THROUGHPUT SCREENING
5 THIS REPSOX MOLECULE.

6 IF YOU ADD THIS REPSOX MOLECULE TO THE
7 CHEMICALS THAT YOU'RE DOING THE REPROGRAMMING, YOU
8 CAN REPLACE TWO OF THE KEY GENES, THE SOX-2 GENE AND
9 MYC GENE.

10 SHOWN ON THESE GRAPHS, HERE ARE THE CELLS
11 WITHOUT THE MOLECULE IF YOU TAKE OUT MYC. AND IF
12 YOU ADD THE MOLECULE BACK THERE, YOU PRODUCE A REAL
13 RAPID DEVELOPMENT OF THESE IPS CELLS. SO IT VERY
14 EFFECTIVELY REPLACES MYC, BUT ALSO VERY EFFECTIVELY
15 REPLACES SOX, THE SOX GENE. AND SO YOU CAN THEN --
16 THESE FIGURES HERE DEMONSTRATE THAT YOU CAN THEN
17 WHEN YOU REPROGRAM THESE CELLS, YOU CAN MAKE
18 TERATOMAS AND YOU GET ALL OF THE CELLS THAT YOU
19 IMAGINE THAT YOU NEED OUT OF IT, AND YOU CAN MAKE
20 CHIMERIC MICE AND SO FORTH FROM THOSE CELLS.

21 SO HERE WE'RE MOVING THE IPS ALONG THE
22 DEVELOPMENT PATHWAY. SO WE'RE GETTING BETTER AND
23 BETTER AT FINDING THE MOLECULES THAT WILL BE ABLE TO
24 REPLACE SOME OF THESE GENES THAT ARE INSERTED INTO
25 THE CELLS.

BARRISTERS' REPORTING SERVICE

1 THE NEXT PAPER IS A VERY SIMILAR ONE, AND
2 IT'S COME OUT AT ALMOST THE SAME TIME SEPARATED BY
3 JUST A FEW DAYS. AND IT, AGAIN, IS THE SAME TYPE OF
4 THING. THERE'S A TGF-B, THE SIGNALING INHIBITOR, SO
5 IT'S INHIBITING EXACTLY THE SAME PATHWAY. AND THIS
6 IS A PAPER PUBLISHED BY KONRAD HOCHEDLINGER. SO
7 HOCHEDLINGER AND EGGAN SEEM TO BE IN COMPETITION
8 HERE, AND THEY'RE BOTH OUT OF THE HARVARD STEM CELL
9 INSTITUTE. SO IT'S INTERESTING THAT BOTH THESE
10 PAPERS APPEARED SIDE BY SIDE.

11 THEY SHOWED THAT, IN FACT, THE
12 INHIBITOR -- THESE TWO SCIENTISTS, MAHERALL AND
13 HOCHEDLINGER SHOWED THAT YOU CAN AGAIN REPLACE SOX-2
14 AND THE MYC-C, THE ONCOGENE, WITH THIS INHIBITOR.
15 SO, AGAIN, YOU CAN SHOW IN THESE GRAPHICS HERE THAT
16 YOU CAN REPLACE BOTH OF THESE GENES.

17 SO HERE'S TWO PAPERS FROM TWO RELATIVELY
18 INDEPENDENT LABS GETTING THE SAME OUTCOME, WHICH
19 WOULD MAKE YOU FEEL CONFIDENT IT'S A REAL EFFECT.
20 AND, OF COURSE, THEY CAN MAKE CHIMERIC MICE, AND
21 THEY CAN SHOW THAT YOU CAN MAKE ALL THE PRIMARY GERM
22 CELLS THAT THE IPS CELLS FORM BY THIS, SO MAKE ALL
23 THE PRIMARY STEM CELLS. AND IF YOU PUT THEM INTO A
24 MOUSE EMBRYO, YOU CAN MAKE A CHIMERIC MOUSE. SO
25 HERE WE ARE MOVING DOWN THE TRACK OF MAKING THESE

BARRISTERS' REPORTING SERVICE

1 CELLS MORE AND MORE EFFECTIVELY.

2 THE NEXT SLIDE IS ONE FROM SHEN DING'S
3 LAB. SO HERE ARE THESE THREE YOUNG TURKS, IF YOU
4 LIKE, IN THE STEM CELL AREA. SHEN DING IS A VERY
5 POWERFUL YOUNG SCIENTIST OUT OF THE SCRIPPS RESEARCH
6 INSTITUTE, AND HE'S DOING MARVELOUS WORK I'D HAVE TO
7 SAY. IN THIS PARTICULAR STUDY HE'S PICKED UP TWO OR
8 THREE CHEMICALS THAT CAN ACCELERATE THE FORMATION OF
9 THE IPS CELLS. SO IF YOU PUT THE FOUR GENES IN, YOU
10 CAN GET A MASSIVE, 2 TO 2,000 TIMES EFFECTIVENESS OF
11 YOUR MAKING THE IPS CELLS BY ADDING EITHER THE TWO
12 NEW SMALL MOLECULES OR THE THREE. YOU CAN DO IT
13 QUITE EFFECTIVELY WITH THE TWO, BUT IT'S EVEN MORE
14 EFFECTIVE IF YOU USE THE THREE. AND YOU DON'T HAVE
15 TO WAIT SO LONG TO MAKE THEM. WITHIN TWO WEEKS
16 YOU'VE GOT EFFECTIVE COLONIES SPREADING OUT
17 EVERYWHERE.

18 HERE, AGAIN, THESE SCIENTISTS HAVE SHOWN
19 HOW TO GET THIS IN A MUCH MORE ECONOMICAL, EFFICIENT
20 WAY. AND I THINK NOW, IT'S MY OWN VIEW, THAT THIS
21 WORK IS GOING TO REALLY START TO ACCELERATE
22 EVERYWHERE. THE OPPORTUNITY, I THINK, FOR CIRM TO
23 BE PART OF THIS RESEARCH IS COMPELLING, AND I THINK
24 DOWNSTREAM IT'S GOING TO BE VERY IMPORTANT. AND SO
25 WE HAPPEN TO BE PART OF THE FRONT LINE OF THIS

BARRISTERS' REPORTING SERVICE

1 RESEARCH, AND I THINK WE'LL BE REWARDED BY BEING
2 THERE.

3 SO THE NEXT ONE IS QUITE A DIFFERENT
4 STUDY, AND IT COMES FROM KEN CHIEN'S LAB AT, AGAIN,
5 AT THE HARVARD STEM CELL INSTITUTE, BUT THEY
6 PUBLISHED IN *SCIENCE* IN OCTOBER. AND HE IS ABLE TO
7 SHOW FUNCTIONAL VENTRICULAR HEART MUSCLE CELLS IN
8 THE MOUSE FROM VENTRICULAR PROGENITOR CELLS. SO
9 THIS RED MARK AND THIS GREEN MARKER SHOW UP
10 DIFFERENT PARTS OF THE DEVELOPING HEART IN THE
11 EMBRYO.

12 THE GREEN IS A MARKER FOR WHAT'S KNOWN AS
13 NKX-2.5, WHICH IS THE MOST COMMON MARKER FOR THE
14 DEVELOPING HEART. IT ACTUALLY MARKS ALL OF THE
15 DEVELOPING HEART IN THE EMBRYO. THE OTHER ONE, THE
16 RED MARKER, IS A DIFFERENT MARKER. IT'S AN
17 ISLET1-DEPENDENT ENHANCER. SO IT'S MARKING THIS
18 PARTICULAR PATHWAY THAT'S CONNECTED TO THE MEF2C
19 GENE.

20 NOW, I JUST WANT TO SHOW YOU THE COLORS
21 FOR THE NEXT SLIDE, IF I MAY. WHEN YOU LOOK AT
22 THIS, UNFORTUNATELY IT'S A BIT SMALL AT THIS
23 DISTANCE, BUT YOU CAN SEE IN THESE BOTTOM GRAPHS,
24 THESE ARE THE MORE EFFECTIVE WAY OF MAKING HEART
25 MUSCLE CELLS AS SHOWN HERE ON THE BOTTOM. AND THESE

BARRISTERS' REPORTING SERVICE

1 ARE THE CELLS THAT ARE MARKED IN GREEN ALONE OR
2 GREEN AND RED. AND THIS HASN'T COME OUT VERY WELL,
3 BUT WHAT THEY'VE DONE IS ESTABLISH EMBRYONIC STEM
4 CELLS WITH THESE JEWEL MARKERS, THE RED AND GREEN
5 FLUORESCENT MARKERS, AND THEY'VE SELECTED THE
6 FLUORESCENT CELLS FROM THE EMBRYOID BODY THAT'S
7 DIFFERENTIATING AND THEN GROWN THESE CARDIAC
8 PROGENITORS ON MICROPATTERNS.

9 SO THEY MICROPATTERN THE SLIDE, AND THE
10 SLIDE'S MICROPATTERNED IN A WAY THAT THEY'RE 25
11 MICROMETER WIDE LINES OF FIBRONECTIN, SO CELLS WILL
12 STICK TO THE FIBRONECTIN. THAT'S A MATRIX MOLECULE
13 THAT CELLS LIKE, AND THAT'S ALTERNATING WITH A 20
14 MICRON WIDE LINE OF PLURONIC F127. NOW, THAT
15 ACTUALLY BLOCKS CELL ADHESION. SO YOU'VE GOT STRIPS
16 WHERE YOU'VE GOT A CELL ADHESION MOLECULE AND THEN A
17 STRIP WHERE THERE'S NONE.

18 IF YOU CAN SEE THIS, AND IT'S WORTH
19 LOOKING AT AT SOME STAGE ONLINE, YOU WILL SEE THAT
20 THE CARDIAC CELLS ARE LINED UP IN LINES. AND THEY
21 FORM IN LINES AS THEY SHOULD DO, CONNECT ONE ANOTHER
22 IN LINES. AND YOU CAN ACTUALLY PATTERN ON YOUR
23 SLIDE, ON THE CULTURE SLIDE, TISSUE THAT'S ALL
24 LINKED UP IN THE RIGHT DIRECTION. IF YOU DON'T DO
25 THAT, IF YOU JUST USE FIBRONECTIN ON THE SLIDE, THEY

BARRISTERS' REPORTING SERVICE

1 ALL LINE UP IN ALL DIFFERENT WAYS. SO THEY'RE QUITE
2 CHAOTIC. THEY CAN ACTUALLY MOVE TOGETHER AND YOU
3 SEE IT IN THOSE BEATING HEART MUSCLES. THEY CAN DO
4 THAT, BUT THEY'RE NOT LINED UP IN THE PROPER WAY.
5 SO IF YOU PUT THEM IN A HEART, THEY WOULD BE ALL
6 OVER THE PLACE, TO BE HONEST. SO YOU REALLY NEED
7 THE FIBERS LINED UP IN A PROPER DIMENSION.

8 SO THIS IS REALLY MOVING TOWARDS GETTING
9 THE TISSUE INTO A PROPER FORMAT. SO WE LOOK AT THE
10 NEXT ONE, JAMES. HERE THEY'VE TAKEN THESE PATTERN
11 CELLS AND THEN THEY PUT THESE CELLS ONTO A MUSCULAR
12 THIN FILM. SO THEY'VE CREATED A MUSCULAR THIN FILM
13 ON A VERY THIN FILM OF POLYMER, AND THEY'VE GROWN
14 THEM IN THAT SAME FASHION THAT I JUST EXPLAINED TO
15 YOU.

16 YOU LOOK AT THESE, THIS IS THE RED PLUS
17 THE GREEN CELLS, THESE CELLS ARE VERY EFFECTIVE IN
18 BECOMING VENTRICULAR ACTION-LIKE POTENTIAL CELLS.
19 SO YOU CAN SEE HERE THIS IS AN ACTION POTENTIAL FROM
20 THE CELLS. AND THIS IS THE SYSTOLIC AND DIASTOLIC
21 MOVEMENT OF THESE CELLS IN THIS FORMAT.

22 AND IF I GIVE YOU THE NEXT ONE, HOPEFULLY
23 THE NEXT ONE WILL WORK, YOU NEED TO TOUCH THAT. SO
24 HERE IS THE LITTLE TISSUE HERE. THIS IS THE
25 SPONTANEOUS BEATING OF THAT TISSUE. IT'S CONNECTED

BARRISTERS' REPORTING SERVICE

1 TO HERE ON THIS THIN FILM, AND HERE'S THIS HEART
2 TISSUE STARTING TO BEAT. THAT'S SPONTANEOUS. IN A
3 MOMENT WE'LL ADD SOME ELECTRICAL INPUT INTO THAT SO
4 THAT WE'RE NOW PLAYING AT 1 HERTZ, AND YOU CAN SEE
5 IT NOW PICKING UP. AND IF YOU BRING IT UP TO, I
6 THINK IF YOU BRING IT UP TO THE 1 HERTZ OR THE 2
7 HERTZ, YOU GET THE SAME BEAT THAT YOU WOULD EXPECT
8 IN A HUMAN HEART.

9 SO HERE WE HAVE EFFECTIVELY TISSUE WHICH
10 IS NOW IN A VERY EFFECTIVE FORMAT. THAT, I THINK,
11 IS THE KIND OF TISSUE THAT WE WANT TO REPLACE IN THE
12 INFARCTED HEART. THIS HAS ALL BEEN DONE IN THE
13 MOUSE, SO WE'VE STILL GOT A WAY TO GO TO DO THE SAME
14 KIND OF WORK IN THE HUMAN. BUT I THINK IT'S REALLY
15 A BEAUTIFUL PUBLICATION IN *SCIENCE*. IT'S JUST DONE
16 A REALLY CLEAR-CUT JOB IN GETTING US SOME CELLS
17 THERE IN THE RIGHT FORMAT DOING THE RIGHT THING
18 CONNECTED IN A TISSUE FUNCTIONAL FORMAT. AND THESE
19 MAY BE -- THIS MAY BE THE TISSUE TYPE THAT WE MAY
20 NEED TO USE AS A TISSUE PATCH ON THE INFARCTED HEART
21 TO MAKE IT FUNCTIONAL.

22 ON FROM THE SCIENCE NOW, WE HAVE TWO NEW
23 MEMBERS OF STAFF THAT HAVE JOINED US. KAREN BERRY,
24 WHO'S A SCIENCE OFFICER. SHE WAS FORMERLY WITH
25 GENENTECH AS A SENIOR SCIENTIST GROUP LEADER IN THE

BARRISTERS' REPORTING SERVICE

1 PHARMACODYNAMIC BIOMARKER DIVISION. AND
2 TRANSLATIONAL MEDICINE AND IMMUNOLOGY IS HER SKILL
3 SPACE. WE'RE BRINGING IN SCIENTISTS NOW, SCIENCE
4 OFFICERS, WHO'VE GOT THE SKILL SPACE TO MOVE INTO
5 THE TRANSLATIONAL PIPELINE.

6 INGRID CARAS, ANOTHER SCIENCE OFFICER,
7 FORMERLY WITH PDL BIOPHARMA AS EXECUTIVE DIRECTOR OF
8 PRECLINICAL AND CLINICAL DEVELOPMENT SCIENCE HAS
9 ALSO JOINED US. AGAIN, ANOTHER REAL KEY APPOINTMENT
10 BY PAT OLSON AND HER COLLEAGUES OF GETTING THE
11 REALLY HIGH QUALITY YOUNG SCIENTISTS TO MOVE INTO
12 THIS PART OF THE PIPELINE WITH US SO THAT WE'VE GOT
13 SOME EXPERTISE DOWNSTREAM FROM THE BASIC SCIENCE.

14 MY PRIORITIES HAVE BEEN, IT SEEMS TO HAVE
15 BEEN A STRESSFUL MONTH, I MUST ADMIT. THESE THINGS
16 STRESS ME. THE CHAIR DOESN'T STRESS ME MUCH.
17 COUPLE OF DAYS A WEEK HE STRESSES ME. BUT THE
18 DISEASE TEAM GRANTS AND THE MEDIA AND COLLABORATIVE
19 ISSUES AROUND THE DISEASE TEAM HAVE REALLY TAKEN A
20 LOT OF TIME FROM US AND A LOT OF NEED TO TRY AND DO
21 THIS IN THE BEST WAY POSSIBLE FOR THE INSTITUTE IN
22 ORDER TO GET YOU THE INFORMATION THAT YOU NEED TO
23 MAKE DECISIONS ABOUT THESE GRANTS. AND WE HAVE
24 WORKED REALLY, REALLY HARD IN THAT REGARD. AND THE
25 SCIENCE OFFICE HAS JUST DONE A MARVELOUS JOB. AND

BARRISTERS' REPORTING SERVICE

1 BETTINA STEFFEN I WANTED TO SAY IN PARTICULAR, WHO'S
2 LED THIS DISEASE TEAM PROGRAM, HAS KIND OF GIVEN UP
3 HER LIFE OVER THE LAST WHATEVER IT IS, SIX MONTHS,
4 TO DO THIS WHOLE THING. I'M SURE HER FAMILY IS
5 GOING TO BE PLEASED WHEN THIS ENDS.

6 BUT PAT, OF COURSE, PAT OLSON IS ALWAYS
7 THERE, ALWAYS HARD AT IT, AS ARE ALL THE OTHER
8 SCIENTISTS. AND I THINK IT'S JUST A FANTASTIC TEAM,
9 BUT THERE'S A LOT OF HARD WORK IN THERE.

10 WE ARE WORKING VERY HARD ON THE VP, VICE
11 PRESIDENT R & D, SEARCH. WE NOW HAVE TEN NAMES. I
12 KNOW ONE OF THEM, BUT I HAVEN'T INTERVIEWED THE
13 OTHER NINE. THESE ARE INCREDIBLY, INCREDIBLY WELL
14 CREDENTIALLED PEOPLE. THEY REALLY HAVE TAKEN MY
15 BREATH AWAY, PEOPLE WHO ACTUALLY WANT TO WORK WITH
16 US, WHO WANT TO SLIP INTO THIS SPACE, WHO WANT TO BE
17 PART OF THE R & D, THE TRANSLATION, THE EARLY
18 CLINICAL THROUGH TO THE CLINICAL. THEY ARE REALLY
19 VERY KEEN TO BE PART OF IT, SO IT'S A VERY
20 COMPETITIVE GROUP OF PEOPLE THAT WE HAVE. WE'LL BE
21 GOING THROUGH THESE PEOPLE TO NARROW THEM DOWN TO
22 HOPEFULLY JUST A FEW, TWO OR THREE AT THE MOST, BUT
23 ON PAPER IT WILL BE VERY DIFFICULT TO MAKE DECISIONS
24 BETWEEN THEM. AND THEY MOSTLY COME UP FROM THE TOP
25 END OF THE PHARMACEUTICAL INDUSTRY, AND SOME ARE IN

BARRISTERS' REPORTING SERVICE

1 THE BIOTECH, BUT IT'S PRIMARILY THE TOP END OF THE
2 PHARMACEUTICAL INDUSTRY. I THINK THAT BODES WELL
3 FOR WHERE WE'RE GOING. AND I HOPE YOU WILL AGREE
4 WHEN WE EVENTUALLY BRING FORWARD A CANDIDATE.

5 THE TRANSPLANTATION AND IMMUNOLOGY RFA IS
6 NEARLY READY TO GO. AND THAT'S TAKEN QUITE A LOT OF
7 WORK BECAUSE THE IMMUNOLOGISTS HAVEN'T BEEN
8 CONNECTED WITH THE STEM CELL FIELD. AND SO WE'VE
9 BEEN OUT THERE TRYING TO GET THEM INTERESTED, GET
10 THEM CONNECTED, GET THEM TO WANT TO BE PART OF WHAT
11 WE'RE DOING. THAT'S ACTUALLY TAKEN A LOT OF LEGWORK
12 AND A LOT OF EFFORT TO DO THAT, BUT I THINK WE'RE
13 GETTING THERE. WE'VE GOT A LOT OF ATTENTION FROM
14 THE INDUSTRY IN THE IMMUNOLOGY AREA, AND I HOPE
15 WE'RE GOING TO GET A TERRIFIC RESPONSE FROM THAT.

16 GERMANY IS JOINING US IN THAT RFA AS IS
17 THE STATE OF VICTORIA. SO AT LEAST COLLABORATIVE
18 GRANTS MAY COME FORWARD WITH GERMAN AND AUSTRALIAN
19 COLLABORATORS.

20 WE HAVE A STRATEGIC PLAN OPERATIONAL PLAN.
21 HOPEFULLY WE CAN PUT THAT TO REST WITH YOU THIS
22 MEETING SO THAT I CAN FOCUS ON THESE OTHER THINGS
23 THAT ARE OCCUPYING A LOT OF TIME. ISSUES RAISED ON
24 IP REGULATIONS AND LOANS FOR COMPANIES, AND I HAVE
25 TO SAY WE'VE GOT A LOT OF WORK TO DO IN THAT AREA.

BARRISTERS' REPORTING SERVICE

1 WE HAD ONE COMPANY PUT UP THEIR HAND FOR A LOAN. I
2 DON'T THINK THAT'S A GOOD ENOUGH RESPONSE, AND WE'VE
3 GOT A LOT OF WORK TO DO IN THAT SECTOR, AND WE
4 REALIZE THAT IN MANAGEMENT, THAT THERE'S A NEED TO
5 GET OUT THERE AND REALLY DEMONSTRATE TO THE
6 COMPANIES THAT THIS IS IN THEIR FAVOR AND WE'RE NOT
7 DOWN THE OTHER END OF THE SPECTRUM WHERE I'M AFRAID
8 I THINK WE ARE AT THE MOMENT OF NOT BEING THE MOST
9 DESIRABLE TO CONNECT WITH OVER LOANS. SO WE'VE GOT
10 WORK TO DO, AND IT'S A REALITY GRAB AND ONE WHICH
11 MANAGEMENT RECOGNIZES THAT WE HAVE TO DO LOTS OF
12 REALLY HARD WORK IN THERE.

13 DEVELOPING NETWORKS IN U.S. SCIENCE AND
14 INDUSTRY. THIS IS SOMETHING STRATEGICALLY THAT
15 ELONA AND I HAVE BEEN WORKING ON, AND WE'RE
16 PROGRESSING THAT. WE'VE HAD A LOT OF HELP FROM
17 PEOPLE LIKE TED LOVE AND OTHER SENIOR MEMBERS OF
18 MANAGEMENT, BUT WE'LL GO ABOUT THAT IN A WAY WE'LL
19 HOPEFULLY BRING FORWARD TO YOU SOMETIME NEXT YEAR A
20 NEW MODEL OF WORKING WITH THE BUSINESS END,
21 PARTICULARLY THE PHARMACEUTICAL END OF BUSINESS
22 BECAUSE THAT'S WHERE WE NEED TO CONNECT IF WE'RE
23 GOING TO TAKE A LOT OF THESE THINGS THROUGH TO
24 CLINICAL TRIAL.

25 A PROGRAM OF CIRM RESEARCH LEADERSHIP

BARRISTERS' REPORTING SERVICE

1 AWARDS, NOW EVERYBODY WANTS ONE OF THESE, SO EIGHT
2 IS NEVER GOING TO BE ENOUGH. SO THAT'S GOOD.
3 THERE'S A LOT OF INTEREST AND A LOT OF COMPETITION
4 FOR THOSE APPOINTMENTS, AND THAT'S TERRIFIC BECAUSE
5 WE WILL DRAW SOME VERY GOOD PEOPLE, I'M SURE, IN
6 THAT PROGRAM. I GET A LOT OF CONNECTION, AS DOES
7 JOHN ROBSON FROM THE INDUSTRY AND FROM SCIENCE IN
8 REGARD TO THAT.

9 AS I SAID, WE'VE ESTABLISHED A DIALOGUE
10 WITH THE MAJOR PHARMACEUTICAL INDUSTRY, AND I THINK
11 IT'S A VERY POSITIVE INTERACTION, I'D HAVE TO SAY,
12 REPORTING TO YOU THAT THIS GROUP OF PEOPLE NOW WANT
13 TO BE CONNECTED TO CIRM. AND SO I THINK THAT'S A
14 TERRIFIC MOVE AND A GREAT OPPORTUNITY. AND WE'LL
15 TAKE THIS FORWARD CAREFULLY AND KEEP YOU INFORMED AS
16 WE DO.

17 THERE ARE DIVERSITY ISSUES THAT I'LL BRING
18 TO YOUR ATTENTION JUST AT THIS MEETING BECAUSE WE
19 HAVEN'T FOCUSED MUCH ON THAT. AND WE HAD A MEETING
20 TODAY WITH THE CHARLES DREW UNIVERSITY AT LUNCHTIME.
21 IT WAS CHAIRED BY ART TORRES AND DID A GREAT JOB AT
22 THE CHAIRING, AND I THINK IT WAS A TERRIFIC MEETING.
23 AND THANK YOU, ART, FOR THAT BECAUSE, YOU KNOW, THE
24 SKILLS IN SORT OF HELPING PEOPLE FROM DISPARATE
25 SIDES TO COME TOGETHER AND DO SOMETHING POSITIVE,

BARRISTERS' REPORTING SERVICE

1 CLEARLY YOU HELPED THAT A GREAT DEAL. SO I FEEL
2 THAT WE'VE MADE SOME STEPS IN THE RIGHT DIRECTION
3 THERE.

4 AND WE ARE WORKING ON CIRM ECONOMIC
5 STIMULUS ISSUES ON HOW WE CAN ACTUALLY HELP
6 STIMULATE -- HOW WE ARE HELPING TO STIMULATE THE
7 ECONOMY CLEARLY IN CALIFORNIA. IT'S A TOUGH TASK
8 FOR THE PEOPLE OUT THERE, AND WE'RE DOING OUR JOB TO
9 STIMULATE IT, SO WE'RE TRYING TO GET SOME
10 QUANTITATIVE FIGURES AROUND THAT.

11 SO IN THE NATIONAL/INTERNATIONAL LINKAGES,
12 WE'VE SIGNED THREE MOU'S WITH GERMANY. THEY'RE SET
13 TO PARTICIPATE IN THE IMMUNOLOGY RFA WITH CHINA.
14 AND WE DRAW YOUR ATTENTION TO THE QUOTES THERE, THAT
15 THE AGREEMENT THAT WE HAVE WITH THE CHINESE IS TO
16 WORK TOGETHER IN COMPLIANCE OF THE HIGHEST STANDARDS
17 FOR ETHICAL CONDUCT AND SAFETY AT ALL STAGES OF
18 RESEARCH, INCLUDING CLINICAL STUDY. I WANT TO
19 EMPHASIZE THAT WE MADE THIS POINT VERY STRONGLY TO
20 THE MINISTER AND HIS COLLEAGUES WHO VISITED WITH US.
21 AND IT WAS INTERESTING THAT HE DEPARTED FROM THE SET
22 SPEECH BY RECOGNIZING THIS AS AN IMPORTANT ISSUE
23 THAT THEY WILL BE FURTHER ADDRESSING.

24 SO I THINK OUR NEGOTIATIONS WITH THESE KEY
25 COUNTRIES IN PARTICULAR, BOTH IN GERMANY AND CHINA,

BARRISTERS' REPORTING SERVICE

1 HAVE BEEN A VERY POSITIVE ELEMENT. I THINK WE'VE
2 DONE THINGS THAT PERHAPS PEOPLE THOUGHT WE COULDN'T
3 DO. I KNOW THE GOVERNOR HELPED IN THE CASE OF
4 GERMANY, BUT WE WERE CONCERNED ABOUT SOME ISSUES IN
5 GERMANY AND WERE ABLE TO GET THEM ON THE TABLE AND
6 RECOGNIZED AND ISSUES DEALT WITH THERE. AND THE
7 SAME IS THE CASE IN CHINA.

8 AND I THINK THE GENERAL COMMUNITY IN
9 SCIENCE APPRECIATES WHAT WE'VE BEEN DOING IN THAT
10 REGARD. I THANK VERY MUCH ELONA AND NANCY KOCH IN
11 HELPING US DO ALL THESE THINGS. PARTICULARLY IN THE
12 CASE OF CHINA, ELONA DID A VERY GOOD JOB IN BRINGING
13 THAT TO THEIR ATTENTION IN A VERY DIPLOMATIC WAY.

14 WE HAVE A CONNECTION, WE HAVE AN AGREEMENT
15 WITH MARYLAND WITH JOHNS HOPKINS, CLEARLY A MAJOR
16 UNIVERSITY, UNIVERSITY OF MARYLAND AND HUGO MOSER
17 RESEARCH INSTITUTE. SO THIS ENABLES THOSE
18 SCIENTISTS TO LINK WITH CALIFORNIANS AND IN THIS
19 CASE OF MARYLAND WILL FUND THEIR COMPONENT, AND, OF
20 COURSE, WE FUND OUR COMPONENT, THE SAME MODEL, IF
21 YOU LIKE, AS WE'VE HAD WITH THE COUNTRIES.

22 OUR ROLE IN DIVERSITY, IT IS A KEY VALUE
23 AND I DREW THAT -- WE DREW THIS COMMENT FROM THE
24 GUIDE AND IMBUE CIRM EFFORTS AND ACTIVITIES WHICH IS
25 OUT OF THE STRATEGIC PLAN. IMPORTANT TO MAKE

BARRISTERS' REPORTING SERVICE

1 SPECIAL EFFORTS TO ENCOURAGE THE TRAINING AND
2 EDUCATION OF MINORITY SCIENTISTS. CIRM WILL ALSO
3 NEED TO ENSURE THE CLINICAL TRIALS WITH THERAPIES
4 RESULTING FROM STEM CELL RESEARCH INCLUDE MINORITY
5 POPULATIONS. AND CIRM WILL MAKE SPECIAL EFFORTS TO
6 MAINTAIN COMMUNICATION WITH THE DIVERSE PUBLIC
7 CONSTITUENCIES. SO THAT'S EMBEDDED IN OUR
8 PHILOSOPHY. WHAT WE'RE DOING NOW IS TAKING SOME
9 STEPS TO ENSURE THAT WE DO, WE'RE DELIVERING ON
10 THOSE, IMPLEMENTING THOSE COMPONENT PARTS OF THE
11 PHILOSOPHY.

12 SO WE'VE HAD A REVIEW OF PRESENT POLICIES
13 AND PROCESSES IN THE TRAINING PROGRAMS, THE CRITERIA
14 THAT CIRM ENCOURAGES INSTITUTIONS TO MAKE SPECIAL
15 EFFORTS CONSISTENT WITH THE LAW TO RECRUIT AND
16 RETAIN INDIVIDUALS FROM MANY BACKGROUNDS, INCLUDING
17 UNDERREPRESENTED MINORITIES AND TRAINEES AND
18 MENTORS. AND WE'RE MEASURING THAT THROUGH THE
19 PROGRESS REPORTS. SO WE'RE ACTUALLY GETTING A
20 QUANTITATIVE MEASURE ON THAT IN OUR PROGRESS
21 REPORTS, AND WE WILL REPORT THAT TO YOU.

22 THE BRIDGES PROGRAM REALLY BROADENS THE
23 PARTICIPATION IN STEM CELL RESEARCH BY HAVING
24 INDIVIDUALS REPRESENTING THE DIVERSITY OF THE
25 POPULATION IN CALIFORNIA AND FACILITATES INVOLVEMENT

BARRISTERS' REPORTING SERVICE

1 OF STUDENTS WHO DO NOT OTHERWISE HAVE OPPORTUNITIES
2 TO TAKE PART IN RESEARCH FOCUSED ON REGENERATIVE
3 MEDICINE.

4 AND THE GRANTS ADMINISTRATION POLICY
5 REQUIRES THAT ALL CIRM-FUNDED CLINICAL RESEARCH WILL
6 BE CARRIED OUT IN A MANNER SUFFICIENT TO ELUCIDATE
7 INFORMATION ABOUT INDIVIDUALS OF BOTH SEXES,
8 GENDERS, AND DIVERSE RACIAL AND ETHNIC GROUPS, AND
9 IN PARTICULAR IN CLINICAL TRIALS TO EXAMINE
10 DIFFERENTIAL EFFECTS ON SUCH GROUPS. SO WE'RE NOW
11 TRYING TO GET IMPLEMENTATION OF THOSE.

12 SO THE NEXT ONE. THE PARTICIPATION IN
13 CLINICAL TRIALS ARE BOTH CHALLENGES AND SUCCESSES.
14 WE'VE COMMISSIONED A WHITE PAPER TO INTERVIEW
15 CONSTITUENTS TO DETERMINE WHERE THE BARRIERS ARE TO
16 PROVEN BRIDGES TO TRIAL PARTICIPATION. AND THAT'S
17 DUE IN DECEMBER. SO DON GIBBONS IS MANAGING THAT
18 PARTICULAR TASK, AND WE WANT TO BE WELL INFORMED
19 ABOUT IT.

20 EDUCATION COMMUNICATION, SUPPORT THE
21 SCIENCE PROGRAMS OF PUBLIC SCHOOLS IN CALIFORNIA.
22 WE'RE DOING THAT. REVIEWING IDEAS OF HOW STATE
23 UNIVERSITY FACULTY MEMBERS MIGHT PARTICIPATE IN CIRM
24 TRAININGS. WE HAVE STEM CELL AWARENESS DAY
25 EDUCATION PROGRAMS, AND WE'RE PLANNING TO BRING

BARRISTERS' REPORTING SERVICE

1 TOGETHER THE BRIDGES PROGRAM APPLICANTS WITH THE
2 TRAINING GRANT DIRECTORS AND REPRESENTATIVES WITH
3 INDUSTRY. SO WE'RE DOING ALL OF THOSE THINGS IN THE
4 EDUCATION COMMUNICATION.

5 WE WANT TO CONSIDER BEST PRACTICE IN
6 RECRUITMENT TO TRAINEE PROGRAMS, SO WE'RE HOLDING A
7 WORKSHOP TO DISCUSS BEST PRACTICES AT CIRM AT THE
8 2010 GRANTEE MEETING.

9 WE HAD A DISCUSSION, AS I SAID, ABOUT A
10 PROPOSED WORKSHOP, THE ROLE OF CIRM IN ENHANCED
11 DIVERSITY. THE GOAL THERE IS TO IDENTIFY HOW CIRM
12 CAN ENHANCE DIVERSITY IN THE FIELD OF REGENERATIVE
13 MEDICINE. SO WE PROPOSE THAT THIS BE HELD AT THE
14 CHARLES DREW UNIVERSITY, BUT INCLUDES OTHER MEMBERS
15 THAT WOULD REPRESENT DIVERSITY IN THE CALIFORNIA
16 COMMUNITY COMING TOGETHER. AND THEN THE TOPICS WE
17 PROPOSE, MEETING CLINICAL NEEDS OF THE COMMUNITY,
18 ATTRACTING PATIENTS AND PHYSICIANS TO CLINICAL
19 TRIALS, ATTRACTING STUDENTS TO STEM CELL RESEARCH,
20 AND DEVELOPING CROSS-INSTITUTIONAL COOPERATION. AND
21 IT WAS AGREED TO TODAY THAT WE WOULD MOVE FORWARD ON
22 THAT. WE WOULD HAVE A WORKSHOP, AND WE WOULD
23 WELCOME ANY BOARD MEMBERS INTERESTED IN
24 PARTICIPATING IN THAT. I THINK IT SHOULD BE VERY
25 INTERESTING AND RATHER DIFFERENT TO SOME OF THE

BARRISTERS' REPORTING SERVICE

1 OTHER WORKSHOPS THAT WE'VE HAD. AND CHALLENGING,
2 INDEED, BUT A VERY STRONG INPUT, IF YOU LIKE, FROM
3 THE CHARLES DREW MEMBERSHIP, VERY STRONG, AND
4 WANTING TO BE INVOLVED IN THIS PROGRAM.

5 SO UPCOMING GRANT REVIEWS, WE HAVE BASIC
6 BIOLOGY II. WE RECEIVED 154 APPLICATIONS. WE'VE
7 JUST HAD THE PREAP REVIEW ON OCTOBER 22D, AND WE'RE
8 INVITING 57 OF THE 154 FORWARD. THE APPLICATION
9 DEADLINE FOR THE PRIMARY APPLICATION WILL BE
10 DECEMBER THE 8TH. AND THE GRANTS WORKING GROUP
11 REVIEW WILL BE IN FEBRUARY OF NEXT YEAR.

12 AND THE BASIC BIOLOGY I AND II BROUGHT
13 TOGETHER 289 APPLICATIONS, SO IT WOULD HAVE BEEN
14 IMPOSSIBLE TO DEAL WITH THEM IN THE ONE TIME. AND I
15 DON'T KNOW HOW YOU CAN DEAL WITH THIS NUMBER OF
16 PROJECTS UNLESS YOU DO HAVE A PREAP REVIEW, BUT
17 WE'RE COMING IN DECEMBER TO REPORT ON OUR EXPERIENCE
18 OF THE PREAP PROCESS FOR YOUR CONSIDERATION AS TO
19 WHETHER WE CAN CONTINUE THAT OR NOT.

20 UPCOMING RFA'S, THE STEM CELL
21 TRANSPLANTATION IMMUNOLOGY, POSTING THE RFA EARLY
22 NOVEMBER, SO PRETTY SOON. APPLICATION DEADLINE WILL
23 BE IN JANUARY NEXT YEAR, THE REVIEW IN APRIL, AND
24 THE ICOC IN JUNE NEXT YEAR. RESEARCH LEADERSHIP
25 AWARDS, WE'LL BE POSTING THE RFA IN DECEMBER, THIS

BARRISTERS' REPORTING SERVICE

1 YEAR, AND THE FIRST APPLICANT DEADLINE WILL BE IN
2 FEBRUARY 2010. AND THEN OUR NEXT EARLY
3 TRANSLATIONAL RFA II, THE CONCEPT CLEARANCE WILL BE
4 COMING TO THE BOARD ON DECEMBER, AND WE'LL HOPEFULLY
5 POST THE RFA IN FEBRUARY.

6 QUICK WORKSHOP REPORT, WE HAD A WORKSHOP
7 TOGETHER WITH THE JAPANESE JST. WE HAD A WORKSHOP
8 TOGETHER WITH THE SCIENCE AND TECHNOLOGY GROUP IN
9 JAPAN WITH THEIR KEY SCIENTISTS IN IMMUNOLOGY TO
10 EXCHANGE IDEAS AND FACILITATE DEVELOPMENT OF
11 COLLABORATIVE PROJECTS BETWEEN THE CALIFORNIANS AND
12 THE JAPANESE SCIENTISTS IN IMMUNOLOGY. WE HAD EIGHT
13 CALIFORNIAN IMMUNOLOGISTS AND THERE WERE 14 JAPANESE
14 IMMUNOLOGISTS. WE HAD A GREAT MEETING. IT WAS
15 HOSTED BY THE JAPANESE, THE JST IN KYOTO IN AUGUST,
16 END OF AUGUST, AND THE PRESENTATIONS, DISCUSSIONS
17 WERE IN THE AREAS OF IMMUNOLOGICAL TOLERANCE, IMMUNE
18 MANIPULATION STEM CELL THERAPY, AND STEM CELL
19 DIFFERENTIATION, AND STEM CELL TRAFFICKING.

20 JAPAN HAD AN ELECTION WHILE WE WERE THERE.
21 AND THEY GOT A NEW GOVERNMENT THAT THEY DIDN'T QUITE
22 PREDICT. SO THAT'S THROWN LOTS OF THINGS IN THE AIR
23 FOR THE MOMENT, SO THEY WERE UNABLE TO PARTICIPATE
24 IN THE RFA, WHICH WAS A DISAPPOINTMENT BECAUSE THE
25 SCIENTISTS HAD ACTUALLY CREATED REALLY LINKAGES,

BARRISTERS' REPORTING SERVICE

1 THEY HAD DATA, AND THEY WERE GOING TO WORK TOGETHER,
2 BUT WE WERE UNABLE TO GET THE JAPANESE TO COME ONTO
3 THAT.

4 SO NOW I WONDER IF I CAN HAND OVER TO DON
5 GIBBONS, JUST A COUPLE OF SLIDES ON THE STEM CELL
6 AWARENESS DAY.

7 MR. GIBBONS: THANK YOU, ALAN, CHAIRMAN
8 KLEIN, MEMBERS OF THE BOARD. IT WAS A VERY
9 SUCCESSFUL DAY THIS YEAR. IT STARTED OUT VERY SMALL
10 LAST YEAR AND IT GREW DRAMATICALLY THIS YEAR. WE
11 WENT TO OUR GRANTEES AND SAID WE'D LOVE FOR YOU TO
12 GO INTO A CLASSROOM THIS DAY WITH A LECTURE. WE'RE
13 GOING TO GIVE YOU NOTES THAT WE'VE PREPARED AND
14 TESTED IN CLASSROOMS IN THE BAY AREA SO WE KNOW
15 THEY'RE AT THE RIGHT LEVEL. WE'LL MAKE IT EASY FOR
16 YOU.

17 WE HAD RESEARCHERS IN 47 SCHOOLS, WHICH I
18 THINK WAS GREAT. WE REACHED OVER 5,000 KIDS.

19 I WANT TO TALK ABOUT ONE COMPANY,
20 NOVOCELL, GAVE THREE RESEARCHERS THE DAY OFF. THEY
21 ALL THREE SPENT THE ENTIRE DAY IN SCHOOL DOING
22 MULTIPLE CLASSES. ONE SCHOOL CALLED BACK-TO-BACK
23 SCHOOL ASSEMBLIES WITH 200 KIDS FOR FOUR ASSEMBLIES.
24 ONE NOVOCELL RESEARCHER REACHED 800 KIDS.
25 ALTOGETHER OF THOSE 5,000, 1,000 WERE FROM THE

BARRISTERS' REPORTING SERVICE

1 NOVOCELL RESEARCH TEAM. SO I DON'T WANT TO GUILT
2 OUT ANY NONPROFITS IN THE ROOM, BUT I THINK THEY
3 REALLY DID SHINE.

4 THERE WERE A NUMBER OF EVENTS AT OUR
5 GRANTEE INSTITUTIONS. THERE WERE SEVEN. AND THEN
6 THERE WERE EVENTS IN NEW YORK, MONASH IN AUSTRALIA,
7 CANADA, PROCLAMATIONS FROM GOVERNORS IN WISCONSIN,
8 MARYLAND, NEW YORK, AND CALIFORNIA, GOVERNOR
9 SCHWARZENEGGER, AND PROCLAMATIONS FROM A NUMBER OF
10 MAYORS UP AND DOWN THE COAST THAT SENATOR TORRES
11 HELPED US ARRANGE.

12 MR. TORRES: AND VICE CHAIRMAN ROTH AS
13 WELL.

14 MR. ROTH: THERE WERE A COUPLE REPUBLICANS
15 OUT THERE.

16 MR. GIBBONS: WE'RE BIPARTISAN. OUR MEDIA
17 COVERAGE GARNERED AROUND 260,000 VIEWER IMPRESSIONS.
18 BUT I THINK THE REAL SUBSTANCE OF THE DAY IS
19 CAPTURED IN THIS E-MAIL I GOT. ONE TEACHER ASKED
20 EVERY STUDENT TO WRITE A THANK-YOU NOTE TO THE
21 GRANTEE. I'M GOING TO READ ONE LINE FROM FOUR OR
22 FIVE PAGES OF THESE. MY BIFOCALS BROKE, SO BEAR
23 WITH ME WHILE TRADE GLASSES.

24 "I HAVE DEFINITELY TAKEN AN INTEREST IN
25 THIS FIELD AND HOPE TO PURSUE A CAREER IN IT IN THE

BARRISTERS' REPORTING SERVICE

1 FUTURE. "

2 ANOTHER ONE, "MAYBE IN THE FUTURE I MIGHT
3 ROLL INTO THIS PROFESSION. "

4 "I WAS ESPECIALLY INTERESTED IN THE FACT
5 THAT WE CAN BE HELPING OUT SO MANY PEOPLE IN THE
6 FUTURE BY NOT JUST CURING ONE DISEASE OR ONE INJURY
7 BUT MANY. "

8 "THANK YOU FOR IMPLANTING THE SEEDS OF
9 WONDER ABOUT THE TOPIC OF STEM CELLS INTO OUR
10 BRAINS. "

11 AND ONE LAST ONE, "NOW THAT I'VE LEARNED A
12 LOT ABOUT STEM CELLS AND THE RESEARCH, THE CAREERS
13 INVOLVED SEEM VERY INTERESTING. WHEN I HEARD THAT
14 MILLIONS OF DOLLARS IS PUT INTO STEM CELL RESEARCH,
15 I WAS QUITE SHOCKED. I THOUGHT THAT STEM CELL
16 RESEARCH WAS NOT THAT BIG A DEAL TO PUT THAT MUCH
17 MONEY INTO IT. HOWEVER, WHEN THE PRESENTATION WAS
18 OVER, I NOW KNEW WHY STEM CELLS ARE SO IMPORTANT. "

19 THANK YOU.

20 CHAIRMAN KLEIN: THANK YOU VERY MUCH. DR.
21 TROUNSON, I THINK IT WOULD BE VALUABLE AS WELL IN
22 THE COUNTRIES THAT YOU COVERED, SO THAT THE BOARD
23 UNDERSTANDS THE LEVEL AT WHICH THESE DECISIONS ARE
24 BEING MADE IN THESE COUNTRIES, TO REALIZE THAT WHEN
25 THE MINISTER OF SCIENCE FROM CHINA CAME TO OUR

BARRISTERS' REPORTING SERVICE

1 HEADQUARTERS OFFICES ON A SUNDAY WITH HIS TRAVELING
2 PARTY OF ABOUT 14, INCLUDING THE HEAD OF THE EMBASSY
3 SCIENCE TEAM IN WASHINGTON, D. C. , THAT THE MINISTER
4 OF SCIENCE IS THE VICE CHAIRMAN OF THE COMMUNIST
5 PARTY AND A MEMBER OF THE EXECUTIVE COMMITTEE OF THE
6 COMMUNIST PARTY THAT RUNS THE COUNTRY ON A
7 DAY-TO-DAY BASIS.

8 THAT COMES FROM OUR VICE CHAIR ART TORRES,
9 WHO IS OUR CHINESE TECHNICAL POLITICAL ADVISOR
10 BECAUSE HE HAPPENS TO HAVE REPRESENTED A VERY LARGE
11 CHINESE COMMUNITY FOR MANY YEARS IN LOS ANGELES.

12 BUT IT IS EXTRAORDINARY THE LEVEL AT WHICH
13 THESE DECISIONS ARE BEING MADE, WHICH, OF COURSE, IN
14 SOME COUNTRIES IS NECESSARY TO MAKE SURE THEY'RE
15 ACTUALLY IMPLEMENTED. BUT IT IS A GREAT COMPLIMENT
16 TO THE EFFORTS OF OUR SCIENTIFIC STAFF THAT WE ARE
17 GETTING THE ATTENTION AT THESE LEVELS.

18 DR. TROUNSON: RIGHT. CHAIR, WE MOVE FROM
19 THAT TO CULTURE. AND NOW THIS WAS A SHORT POEM THAT
20 RECEIVED THE AWARD THAT WAS JUDGED BY AN EXPERT IN
21 POETRY. AND IT STEMMED FROM HAIKU. "TIS A DAY TO
22 PRAISE, THE BASE OF LIFE UNHAZED, THE WORLD IN ONE
23 CELL." AND THAT WILL PROBABLY ENTER HISTORY AND BE
24 REMEMBERED LONG AFTER WE'VE GONE, CHAIRMAN KLEIN.

25 SO JONATHAN LEE AT THE DREW SCHOOL, THE

BARRISTERS' REPORTING SERVICE

1 DREW SCHOOL, WAS THE WINNER OF THAT POETRY AWARD.
2 SO GOOD FOR JONATHAN AND HE RECEIVED THE PRIZE. I
3 CAN' T RECALL WHAT THE PRIZE WAS.

4 SO IF I CAN INVITE PAT OLSON FORWARD ON
5 THIS.

6 DR. OLSON: MR. CHAIRMAN, MEMBERS OF THE
7 BOARD, PUBLIC, I JUST WANTED TO UPDATE YOU ON AN
8 ACTION ITEM FROM OUR AUGUST BOARD MEETING. SO AS
9 YOU MAY RECALL, AT THE AUGUST BOARD MEETING, THE
10 ICOC APPROVED A TRANSLATIONAL AWARD TO DEVELOP
11 STANDARDIZED, WELL-CHARACTERIZED MODELS OF DISEASE
12 FOR THE TESTING OF STEM CELL-BASED THERAPIES.

13 CIRM AT THAT TIME COMMITTED TO THE BOARD
14 TO UNDERTAKE A SURVEY OF CALIFORNIA SCIENTISTS TO
15 ASCERTAIN THE UTILITY OF THE PROPOSED MODELS AND TO
16 SOLICIT INPUT ON OTHER MODELS OF INTEREST FOR
17 DEVELOPMENT. WE CONDUCTED A SURVEY OF MANY OF THE
18 LEADING CALIFORNIA STEM CELL RESEARCHERS, THOSE WHO
19 LEAD PROGRAMS IN THEIR INSTITUTION. THE RESPONSE
20 RATE WAS 73 PERCENT. IT WAS GENERALLY POSITIVE FOR
21 THE UTILITY OF THE PROPOSED MODELS.

22 SO THERE WAS ONE -- I THINK THERE WERE A
23 COUPLE OF MODELS THAT ONE PERSON DIDN' T LIKE, BUT I
24 THINK IT HAD TO DO WITH HOW COULD THESE BE ACTUALLY
25 IMPLEMENTED. BUT MOST PEOPLE -- COMMENTS, LET ME

BARRISTERS' REPORTING SERVICE

1 JUST READ YOU A COUPLE COMMENTS. "STANDARDIZED AND
2 VALIDATED ANIMAL MODELS ARE EXTREMELY IMPORTANT, AND
3 THEY WOULD BE QUITE USEFUL IF THEY WERE READILY
4 AVAILABLE FOR INVESTIGATORS." "THERE'S GOOD REASON
5 TO SUPPORT WELL-CHARACTERIZED DISEASE MODELS."

6 SO, IN GENERAL, PEOPLE WERE POSITIVE ABOUT
7 ALL THESE MODELS THAT WERE DISCUSSED. THERE WAS ONE
8 RESPONSE THAT, IN FACT, REFLECTED THE DEBATE THAT
9 THE GRANTS WORKING GROUP HAD AND THAT I THINK THAT
10 THIS BOARD WENT THROUGH TOO, WHICH IS THAT THERE ARE
11 EXPERTISE IN PERFORMING THESE MODELS IN VARIOUS LABS
12 IN CALIFORNIA. SO WHY DO WE NEED A SET OF
13 STANDARDIZED MODELS? BUT AS I SAY, IN GENERAL, THE
14 RESULTS WERE GOOD. THE SCIENCE OFFICER WHO IS IN
15 CHARGE OF THIS PROGRAM WILL BE SHARING THE RESULTS
16 OF THIS SURVEY ANONYMOUSLY WITH THE PI AT THE
17 JACKSON LABS. WE'LL ALSO BE SHARING WITH THEM THE
18 MODELS THAT WERE OF INTEREST TO DEVELOP AND JUST
19 EXPLORE WITH THEM THOSE KINDS OF CONSIDERATIONS.

20 SO I DID WANT TO BRING TO YOU THE RESULTS
21 OF THAT. THANK YOU.

22 CHAIRMAN KLEIN: THANK YOU VERY MUCH. DR.
23 TROUNSON. DR. PENHOET.

24 DR. PENHOET: COULD I MAKE ONE COMMENT
25 BECAUSE BOB PRICE DIDN'T, BUT LIZ BLACKBURN WAS BORN

BARRISTERS' REPORTING SERVICE

1 IN AUSTRALIA AND NOW WORKS AT UCSF, BUT SHE DID HER
2 NOBEL PRIZE WINNING WORK WHILE SHE WAS A FACULTY
3 MEMBER AT UC BERKELEY.

4 DR. TROUNSON: I STAND CORRECTED. SO I
5 WANTED GEOFF LOMAX TO REPORT TO YOU THE COMPLIANCE
6 PROGRAM THAT WE'VE HAD IN PLACE BECAUSE I DON'T
7 THINK YOU'VE HAD ANY DISCUSSION OF THE COMPLIANCE
8 PROGRAM THAT IS IN PLACE. YOU MAKE THE AWARDS. WE
9 ACTUALLY MAKE SURE THAT THE COMPLIANCE TO THOSE
10 AWARDS IS HAPPENING. AND SO I ASKED GEOFF TO GIVE
11 YOU A SUMMARY OF THAT COMPLIANCE PROGRAM.

12 DR. LOMAX: MR. CHAIRMAN, MEMBERS OF THE
13 BOARD, DR. TROUNSON HAS ASKED ME TO GIVE YOU AN
14 OVERVIEW OF A PROGRAM THAT'S APPROXIMATELY 16 MONTHS
15 OLD AT THIS TIME. IT'S THE COMPLIANCE PROGRAM. THE
16 PROGRAM REPRESENTS A SERIES OF CHECKUPS ON OUR
17 GRANTEES. IT'S A FIELD-ORIENTED PROGRAM WHERE WE
18 LOOK AT INSTITUTIONAL RESEARCH OVERSIGHT. WE LOOK
19 AT SPECIFIC GRANTS TO VERIFY COMPLIANCE WITH VARIOUS
20 CIRM STANDARDS, PARTICULARLY STANDARDS RELATING TO
21 PUBLICATIONS, IP, AND THE MEDICAL AND ETHICAL
22 STANDARDS. IN ADDITION, WE HAVE A BUDGET AND
23 EXPENDITURE COMPONENT.

24 CHAIRMAN KLEIN: GEOFF, LET ME DO THIS.
25 IN TERMS OF OUR TIMING TONIGHT, DR. TROUNSON, I'M

BARRISTERS' REPORTING SERVICE

1 WONDERING IF WE CAN DEFER THIS PARTICULAR REPORT
2 BECAUSE WE HAVE A NEED TIMEWISE TO GET INTO AN
3 EXECUTIVE SESSION, AND THEN I'M GOING TO BRING THIS
4 UP. IT'S EXTREMELY IMPORTANT THE WORK IS GOING ON,
5 AND I WOULD LIKE TO AGAIN REINFORCE THE STATEMENT
6 MADE PREVIOUSLY, THAT GEOFF WAS ABSOLUTELY VITAL IN
7 THE NIH STANDARDS EFFORT, ABSOLUTELY VITAL, AND IN
8 COORDINATING WITH THE OTHER STATES SO WE HAD A
9 CONSISTENT POLICY. HIS LEADERSHIP HAS BEEN
10 TREMENDOUS.

11 BUT I'D LIKE TO DEFER THIS. I'M ALSO
12 GOING TO DEFER AN ITEM BY OUR VICE CHAIR THAT'S VERY
13 IMPORTANT. ART TORRES IS GOING TO REPORT TO US ON
14 INITIATIVES THAT ARE CRITICAL ISSUES FOR US TO BE
15 AWARE OF IN THE 2010 ELECTION. BUT WE NEED TO MOVE
16 FORWARD VERY QUICKLY HERE AT THIS MOMENT SO THAT WE
17 CAN MAINTAIN MAXIMUM NUMBER OF MEMBERS WITHIN THE
18 EXECUTIVE SESSION.

19 DR. LOMAX: I'LL LOOK FORWARD TO BRINGING
20 THAT BACK.

21 DR. PRIETO: WILL WE GET TO TALK ABOUT
22 THIS BEFORE GETTING INTO THE DISEASE TEAM AWARDS?
23 BECAUSE I THINK THERE MAY BE SOME ISSUES THAT HAVE
24 SOME APPLICABILITY.

25 CHAIRMAN KLEIN: LET ME VISIT WITH YOU

BARRISTERS' REPORTING SERVICE

1 DURING THE BREAK, AND WE' LL MAKE SURE THAT THE
2 TIMING IS RELEVANT TO YOUR CONCERNS.

3 DR. TROUNSON, ARE WE ALL RIGHT?

4 DR. TROUNSON: SURE.

5 CHAIRMAN KLEIN: OKAY. I WANT TO -- WHAT
6 I'D LIKE TO DO IS MOVE ALL THE WAY DOWN TO THE
7 AGENDA AND REALLY GO INTO AN EXECUTIVE SESSION; BUT
8 BEFORE DOING THE EXECUTIVE SESSION, WHAT I'D LIKE TO
9 DO HERE IS LOOK AT THE OVERALL PICTURE OF THE
10 RECOMMENDATIONS FROM THE GRANTS WORKING GROUP TO
11 FRAME FOR THE PUBLIC AND THE BOARD THE GRANTS THAT
12 ARE UNDER CONSIDERATION FOR TONIGHT.

13 DR. STEFFEN: MR. CHAIRMAN, BOARD MEMBERS,
14 MEMBERS OF THE AUDIENCE, AND GUESTS, TODAY I WOULD
15 LIKE TO PRESENT THE RECOMMENDATIONS PUT FORTH BY THE
16 GRANTS WORKING GROUP IN SEPTEMBER FOR THE DISEASE
17 TEAM RESEARCH AWARDS. THIS IS AGENDA ITEM NO. 11 IN
18 YOUR BINDER.

19 SO THE DISEASE TEAM INITIATIVE WILL HELP
20 THIS INSTITUTE ACHIEVE ITS GOAL TO DEVELOP STEM CELL
21 BASED-THERAPIES BY MOVING THERAPEUTIC CANDIDATES
22 TOWARD THE CLINIC. AND IN ORDER TO DO THIS, WE SET
23 SOME STEEP BUT ACHIEVABLE GOALS TO HAVE THESE TEAMS.

24 FIRST, TEAMS WHO RECEIVE THIS AWARD SHOULD
25 BE ABLE TO FILE AN APPROVABLE INVESTIGATIONAL NEW

BARRISTERS' REPORTING SERVICE

1 DRUG APPLICATION FOR IND WITHIN FOUR YEARS OF THE
2 START OF THE AWARD. THE IND IS THE FINAL STEP
3 BEFORE CLINICAL STUDIES CAN BEGIN IN HUMANS AND IS A
4 MAJOR MILESTONE IN THE DEVELOPMENT OF THERAPEUTICS.

5 SECOND, TEAMS WHO RECEIVE THIS AWARD
6 SHOULD BE ON A PATH TO DEVELOP CLINICALLY
7 COMPETITIVE, NOVEL THERAPEUTICS FOR WHICH THERE IS
8 AN UNMET MEDICAL NEED. AND WE BELIEVE OUR BEST
9 CHANCES ARE THOSE PROJECTS WITH A STRONG SCIENTIFIC
10 RATIONALE.

11 SO IN A FEW SLIDES WE WILL REVISIT THE
12 REVIEW CRITERIA FOR THIS RFA, AND YOU WILL SEE THESE
13 KEY CONCEPTS EMPHASIZED.

14 THE SCOPE OF THE RESEARCH AWARDS WAS
15 CRAFTED TO ACHIEVE THE GOAL OF PRODUCING THE
16 APPROVABLE IND'S WHILE ALSO HELPING PAVE THE PATH TO
17 THE CLINIC FOR CLINICALLY COMPETITIVE THERAPIES.
18 AND WE BELIEVE THAT DIVERSITY IN THE PORTFOLIO IS
19 BENEFICIAL. SO FOR THIS REASON THE RFA WAS OPEN TO
20 A BROAD SCOPE OF DISEASES AND CELL TYPES. WE WERE
21 OPEN TO PROPOSALS IN ALL DISEASES AND INJURIES, AND
22 CIRM WILL SUPPORT RESEARCH USING THE FULL SPECTRUM
23 OF PLURIPOTENT CELLS WITH AN EMPHASIS ON EMBRYONIC
24 STEM CELLS AND ALSO PROGENITOR AND CANCER STEM CELL
25 TYPES.

BARRISTERS' REPORTING SERVICE

1 CIRM WILL CONSIDER MANY ROLES OF THE STEM
2 CELLS IN THESE PROJECTS, INCLUDING CELLS AS THE
3 TARGETS OF THE THERAPEUTICS, SUCH AS THE CANCER STEM
4 CELL, CELLS AS CRITICAL TOOLS FOR DISCOVERY, SUCH AS
5 USING CELLS IN A PRIMARY SCREENING ASSAY FOR DRUG
6 DISCOVERY, OR CELLS AS A THERAPEUTIC ITSELF.

7 AND THEN, FINALLY, CLINICAL TRIALS WITH
8 THE PROPOSED THERAPEUTIC CANDIDATE ARE NOT WITHIN
9 THE SCOPE OF THIS FIRST ROUND OF DISEASE TEAM
10 RESEARCH AWARDS.

11 IN DECEMBER THIS BOARD APPROVED THE
12 CONCEPT OF THE DISEASE TEAM RESEARCH AWARD INCLUDING
13 THE CIRM FUNDING TARGETS LISTED ON THIS SLIDE. YOU
14 APPROVED UP TO 10 TO 12 AWARDS, EACH OF UP TO FOUR
15 YEARS WITH JUSTIFIABLE PROJECT COSTS OF UP TO \$20
16 MILLION PER PROJECT AND TOTAL PROJECT COSTS
17 ESTIMATED AT \$210 MILLION FOR THE PROGRAM. THESE
18 NUMBERS REPRESENT THE CIRM-FUNDED PORTION OF THE
19 PROGRAM, AND WE WILL DISCUSS THE FUNDING PARTNER
20 CONTRIBUTIONS SHORTLY.

21 AWARDS WILL BE MADE AS GRANTS TO
22 NOT-FOR-PROFIT ORGANIZATIONS AND AS LOANS TO
23 FOR-PROFIT ORGANIZATIONS.

24 NOW, IN ADDITION TO THE CIRM FUNDS
25 AVAILABLE FOR THIS PROGRAM, ADDITIONAL FUNDS WERE

BARRISTERS' REPORTING SERVICE

1 MADE AVAILABLE AND CONTRIBUTED BY OUR COLLABORATIVE
2 FUNDING PARTNERS. IN THIS ROUND OF AWARDS, WE HAD
3 ROBUST PARTICIPATION BY OUR FUNDING PARTNERS,
4 INCLUDING THE CANCER STEM CELL CONSORTIUM OF CANADA,
5 THE MEDICAL RESEARCH COUNCIL OF THE UNITED KINGDOM,
6 AND THE SPANISH MINISTRY OF SCIENCE AND INNOVATION.

7 I'D LIKE TO MENTION THAT NANCY KOCH OF
8 CIRM WAS INSTRUMENTAL IN DRAFTING, NEGOTIATING, AND
9 BRINGING TO CLOSURE THE FUNDING AGREEMENTS THAT ARE
10 MAKING THESE JOINT PROJECTS POSSIBLE. WITHOUT HER,
11 WE WOULD NOT BE ABLE TO SAY WE HAVE THESE JOINT
12 PROGRAMS.

13 FINALLY, WITH THESE AWARDS CIRM IS
14 IMPLEMENTING THE CO-PRINCIPAL INVESTIGATORS AS
15 ADOPTED BY THIS BOARD. WE HAVE EVIDENCE THAT THIS
16 HAS ENCOURAGED COLLABORATIONS, NOT ONLY ACROSS THE
17 SPECTRUM OF BASIC TO CLINICAL EXPERTISE, BUT ALSO
18 ACROSS INSTITUTIONS AND BETWEEN ACADEMIA AND
19 INDUSTRY.

20 THE REVIEW PROCESS FOR THESE AWARDS WAS A
21 TWO-STEP ENDEAVOR. IN RESPONSE TO THE ICOC'S
22 REQUEST TO NOT LIMIT THE APPLICATIONS, WE CAME UP
23 WITH THE PRELIMINARY APPLICATION AND FULL
24 APPLICATION CONCEPT THAT YOU'RE FAMILIAR WITH FROM
25 THE BASIC BIOLOGY RESEARCH ROUNDS. SO THE

BARRISTERS' REPORTING SERVICE

1 PRELIMINARY APPLICATIONS WHICH WERE RECEIVED IN MAY
2 2009 HAD NO INSTITUTIONAL LIMITS ON THE NUMBERS THAT
3 COULD BE SUBMITTED, AND EACH PRELIMINARY APPLICATION
4 UNDERWENT EVALUATION BOTH BY SCIENTIFIC EXPERTS FROM
5 OUTSIDE CALIFORNIA AND CIRM SCIENTIFIC STAFF. THE
6 FULL APPLICATIONS WERE REVIEWED BY THE GRANTS
7 WORKING GROUP IN SEPTEMBER OF THIS YEAR.

8 JUST TO GIVE YOU A FEEL OF THE
9 APPLICATIONS THAT WE RECEIVED, WE RECEIVED A TOTAL
10 OF 73 PREAPPLICATIONS. THIRTY-TWO WERE IDENTIFIED
11 THROUGH THAT PREAP PROCESS AS THE MOST PROMISING AND
12 COMPETITIVE AND RESPONSIVE, AND 31 FULL APPLICATIONS
13 WERE RECEIVED AND REVIEWED.

14 I'D LIKE TO BRIEFLY REMIND YOU OF THE
15 REVIEW CRITERIA THAT WERE USED IN THE EVALUATION OF
16 THESE AWARDS. THE SCIENTIFIC RATIONALE AND
17 SIGNIFICANCE REALLY HAD TWO COMPONENTS IN THIS CASE.
18 THE ONE IS THE WHY ARE WE DOING THE PROJECT? WHAT
19 ARE THE SCIENTIFIC UNDERPINNINGS? AND WHY DO WE
20 FEEL GOOD ABOUT GOING FORWARD WITH SUCH A PROJECT?
21 AND THE SECOND, WE REALLY ASKED REVIEWERS TO SAY
22 WILL IT BE COMPETITIVE? DOES IT OFFER SOME
23 ADVANTAGE OVER THERAPIES THAT ARE IN PLACE TODAY OR
24 POTENTIALLY IN THE DEVELOPMENT PIPELINE? SO TWO
25 IMPORTANT COMPONENTS TO RATIONALE AND SIGNIFICANCE

BARRISTERS' REPORTING SERVICE

1 IN THIS ROUND.

2 IN THE FEASIBILITY OF THE PRECLINICAL
3 RESEARCH AND DEVELOPMENT PLAN, WE ASKED REVIEWERS TO
4 LOOK AT THREE MAJOR COMPONENTS. WHAT ARE THE
5 PRELIMINARY DATA AND ARE THEY COMPELLING? SECOND,
6 WE ASKED THEM TO LOOK AT COMPLETENESS OF THE
7 RESEARCH AND DEVELOPMENT PLAN. AND HERE OUR
8 SCIENTIFIC REVIEWERS OF THE GRANTS WORKING GROUP
9 LOOKED AT THE TECHNICAL ASPECTS OF THE PROPOSAL.
10 DID THE EXPERIMENTS MAKE SENSE? WERE THE READOUTS
11 RIGHT AND SO FORTH?

12 NOW, WE INTRODUCED A NEW COMPONENT WHERE
13 WE ASKED INDIVIDUALS WITH REGULATORY EXPERTISE WHO
14 HAD AT PRIOR POINTS IN THEIR CAREERS EVALUATED SUCH
15 PROGRAMS WITHIN CEBR AND CEDR. AND THEY EVALUATED
16 THE PLAN FROM A REGULATORY PERSPECTIVE TO SEE IF ALL
17 THE NECESSARY ACTIVITIES WERE PRESENT IN THE
18 PROPOSAL TO REACH AN APPROVABLE IND.

19 AND THEN, FINALLY, UNDER THE FEASIBILITY,
20 WE ASKED REVIEWERS TO COMMENT ON THE MILESTONES AND
21 TIMELINES AS A REMINDER THAT WITH THESE LARGE
22 AWARDS, WE WILL BE DOING MORE ACTIVE MANAGEMENT AND
23 LOOKING TO RESEARCHERS TO REALLY MEET HARD
24 MILESTONES DURING THESE PROJECTS.

25 THE THIRD REVIEW CRITERIA WAS THE

BARRISTERS' REPORTING SERVICE

1 PRINCIPAL INVESTIGATOR AND THE RESEARCH TEAM
2 LEADERSHIP. PREVIOUSLY YOU'VE ENCOUNTERED THIS AND
3 WE'VE EVALUATED OUR PRINCIPAL INVESTIGATORS. UNDER
4 THIS AWARD, WITH THE INCLUSION OF CO-PRINCIPAL
5 INVESTIGATORS AND PARTNER PI'S, THEY WERE ALSO PART
6 OF THIS EVALUATION. I SHOULD NOTE BRIEFLY THAT
7 NEITHER CO-PI'S NOR PARTNER PI'S WERE A REQUIREMENT
8 OF THIS RFA. AND IT WAS REALLY IF IT WAS JUSTIFIED
9 BY THE PROJECT AND MADE SCIENTIFIC SENSE.

10 AND THEN, FINALLY, THE FOURTH REVIEW
11 CRITERIA WERE THE COLLABORATIONS RESOURCES
12 ENVIRONMENT, WHAT DID THESE TEAMS AND THEIR
13 COLLABORATORS BRING TO THE TABLE TO ACHIEVE
14 SUCCESSFUL COMPLETION OF THE PROJECT?

15 SO THIS GRAPHIC SHOWS THE DISTRIBUTION OF
16 THE SCORES WHEN THE FULL APPLICATIONS WERE REVIEWED,
17 AND THIS IS THE STARTING POINT WHERE THE GRANTS
18 WORKING GROUP BEGAN THEIR PROGRAMMATIC DISCUSSION.
19 THERE'S A GREEN LINE ON THE RIGHT OF YOUR SCREEN,
20 AND APPLICATIONS TO THE RIGHT OF THAT GREEN LINE
21 WERE JUDGED TO BE SCIENTIFICALLY MERITORIOUS AND
22 WERE RECOMMENDED FOR FUNDING. APPLICATIONS TO THE
23 LEFT OF THE RED LINE WERE LESS MERITORIOUS AND WERE
24 NOT RECOMMENDED FOR FUNDING. THOSE APPLICATIONS IN
25 BETWEEN WERE DESIGNATED BY THE GRANTS WORKING GROUP

BARRISTERS' REPORTING SERVICE

1 PROVISIONALLY RECOMMENDED. ALL APPLICATIONS IN THE
2 PROVISIONALLY FUNDED CATEGORY WERE INDIVIDUALLY
3 DISCUSSED AND AFFIRMATIVELY PLACED INTO EITHER
4 RECOMMENDED FOR FUNDING OR NOT RECOMMENDED FOR
5 FUNDING CATEGORIES. AND THE RESULTS OF THE
6 PROGRAMMATIC DISCUSSION ARE SUMMARIZED ON THE
7 FOLLOWING SLIDE.

8 IN THE RECOMMENDED FOR FUNDING CATEGORY,
9 THERE ARE A TOTAL OF 11 APPLICATIONS WITH A TOTAL
10 FUNDS REQUESTED, \$171.8 MILLION. THERE WERE NO
11 APPLICATIONS IN THE PROVISIONALLY RECOMMENDED
12 CATEGORY, AND THE BALANCE OF 20 APPLICATIONS ARE IN
13 THE NOT RECOMMENDED CATEGORY.

14 THAT CONCLUDES THE PRESENTATION ON THE
15 RECOMMENDATIONS FROM THE GRANTS WORKING GROUP.

16 CHAIRMAN KLEIN: LET ME ASK, JEFF SHEEHY,
17 YOU CONDUCTED, AS THE VICE CHAIR OF THE PROGRAMMATIC
18 REVIEW, THAT PART OF THE SESSION. WOULD YOU LIKE TO
19 MAKE ANY STATEMENT BEFORE WE GO INTO EXECUTIVE
20 SESSION, OR WOULD YOU LIKE TO RESERVE COMMENTS UNTIL
21 AFTER EXECUTIVE SESSION?

22 MR. SHEEHY: JUST A COUPLE OF GENERIC
23 COMMENTS. AND THIS IS JUST -- I DON'T KNOW IF THIS
24 CORRELATES WITH ANYTHING, BUT JUST AN OBSERVATION.
25 SINCE WE'VE GONE TO A PREAP PROCESS, WE HAVE

BARRISTERS' REPORTING SERVICE

1 ACTUALLY TENDED TO FUND IN THE WORKING GROUP BELOW
2 OUR FUNDING LINE, AND WE TEND TO FUND AT A
3 30-PERCENT RATE. SO WE HAD 31 APPLICATIONS AND WE
4 HAD 11 RECOMMENDED. THERE IS A LITTLE BIT OF
5 GRADING TO CURVE, SO TO SPEAK, THAT TAKES PLACE.
6 AND I THINK PEOPLE SHOULD BE CONSCIOUS OF THAT.

7 THE OTHER THING TOO, BEFORE WE GO INTO
8 SESSION, IS TO UNDERSTAND SOME OF THE RELATIONSHIPS
9 BETWEEN THE SPECIALISTS' SCORES AND THEN TO BE
10 THOUGHTFUL ABOUT THAT. AND I THINK THIS IS
11 SOMETHING WE MAY BE ABLE TO DISCUSS IN CLOSED
12 SESSION, BUT THE SPECIALIST SCORES ARE NOT SOMETHING
13 THAT YOU ARE GOING TO SEE WHEN YOU LOOK AT THE
14 SCORES AND WERE GIVEN SEPARATELY AND WERE
15 INFORMATIVE, BUT WERE INTEGRATED INTO THE FINAL
16 SCORES. YET THAT WAS KIND OF A GO/NO-GO METRIC
17 BECAUSE IF YOU DIDN'T HAVE THE NECESSARY REGULATORY
18 FRAMEWORK IN ORDER TO GET TO AN IND, I MEAN THIS WAS
19 A FAIRLY HARD AND FAST STRUCTURAL THING. IF YOU
20 DIDN'T SCORE WELL ON THAT, THERE WAS REALLY NO POINT
21 IN BEING ABLE TO FULFILL THE RFA.

22 I THINK THAT THAT IS SOMETHING IN
23 EXECUTIVE SESSION THAT PEOPLE SHOULD BE CONSIDERING.
24 OBVIOUSLY, AS YOU ALL KNOW, YOU RECEIVED A GREAT
25 MANY EXTRAORDINARY PETITIONS. IF THEY HAD THE

BARRISTERS' REPORTING SERVICE

1 NECESSARY REGULATORY PATHWAY, AND THERE'S SCIENTIFIC
2 EXPERIENCE AROUND THIS BOARD, I THINK PEOPLE SHOULD
3 TAKE THOSE PETITIONS -- SHOULD BE ABLE TO EVALUATE
4 SOME OF THE SCIENCE THAT'S BEING DISCUSSED.

5 MY FEELING WAS THAT DURING THE WORKING
6 GROUP SESSION, WE PRETTY MUCH ADHERED TO THE
7 NUMBERS. I THINK FOR THE KIND OF PROGRAMMATIC
8 REVIEW THAT WE NEED TO DO, I THINK IT'S IMPORTANT
9 THAT WE'RE CONSCIOUS OF THE DISEASE REPRESENTATION
10 THAT WE HAVE IN THIS GRANT ROUND, BUT ALSO TO BE
11 CONSCIOUS OF THE DISEASE REPRESENTATION WE HAD IN
12 THE TRANSLATION ROUND BECAUSE THE TWO ARE REALLY A
13 SET PIECE. THE SAME PI COULD NOT APPLY IN BOTH
14 ROUNDS. WITH THOSE KIND OF CAVEATS, I THINK THAT'S
15 THE ONLY THING I HAVE TO ADD.

16 CHAIRMAN KLEIN: VERY SPECIFICALLY, OF
17 COURSE, JEFF'S COMMENTS ARE FOCUSED ON THE FACT THAT
18 IN EXECUTIVE SESSION ANY COMMENT ON THE SPECIALISTS
19 WILL BE IN THE TERMS OF THEIR PARTICULAR INPUT ON
20 PROPRIETARY IP OR REGULATORY TECHNIQUE AND
21 CONFIDENTIAL INNOVATIVE APPROACHES THAT THEY'VE MADE
22 IN THE REGULATORY PROCESS AND OTHER PRIVILEGED
23 INFORMATION.

24 DR. PRICE: COULD WE GET SOME EXPLANATION
25 OF THIS NEW CONCEPT, PROVISIONALLY RECOMMENDED?

BARRISTERS' REPORTING SERVICE

1 THIS IS THE FIRST TIME WE'RE USING IT.

2 CHAIRMAN KLEIN: THAT WOULD HAVE BEEN
3 EQUIVALENT TO RECOMMENDED IF FUNDS WERE AVAILABLE.
4 SO IN THE RECOMMENDED FOR FUNDS AVAILABLE, THEY
5 CHOSE NOT TO LEAVE ANYTHING IN THAT CATEGORY.

6 DR. PRICE: I HAVE A FOLLOW-UP QUESTION.
7 SINCE EVERY SINGLE ONE OF OUR RFA'S IN THE PAST HAVE
8 HAD SOME GROUP THAT WERE IN THE IF FUNDS ARE
9 AVAILABLE CATEGORY, I'M JUST WONDERING WHY WE'VE GOT
10 A GOOSE EGG HERE THIS TIME. WHAT WAS SO DISTINCT?

11 CHAIRMAN KLEIN: I THINK THAT WHEN WE COME
12 BACK INTO THE GENERAL SESSION, THIS WILL BE AN ITEM
13 WE WILL DISCUSS. BUT, JEFF, IF YOU COULD JUST GIVE
14 US A SUCCINCT.

15 MR. SHEEHY: WE ACTUALLY HAVE HAD BEFORE,
16 WHEN THE WORKING GROUP DOES NOT FEEL LIKE ENOUGH
17 APPLICATIONS MEET OUR FUNDING LINE, THEN IT DOESN'T
18 MAKE SENSE TO SAY RECOMMENDED FOR FUNDING IF FUNDS
19 ARE AVAILABLE BECAUSE YOU HAVEN'T FUNDED TO THE
20 FUNDING LINE. SO THAT MIDDLE AREA HAS ONLY BEEN ONE
21 THAT'S BEEN USED WHEN THERE HAVE BEEN AN EXCESS OF
22 GRANTS THAT THEY CONSIDER WORTH FUNDING AND THEY'RE
23 FUNDING BEYOND. OUR METRIC WAS 210 AND WE'RE
24 OBVIOUSLY BELOW 210.

25 CHAIRMAN KLEIN: ALL RIGHT.

BARRISTERS' REPORTING SERVICE

1 MR. SHESTACK: 210 WAS THE AMOUNT THAT WAS
2 BUDGETED?

3 CHAIRMAN KLEIN: THAT WAS THE BUDGET.
4 AND, IN FACT, BASED UPON OUR CASH-FLOW PROJECTIONS
5 THAT WE WERE WORKING ON, WE HAD A CONTINGENCY IN OUR
6 NUMBERS ON THE FUNDING SIDE EVEN ABOVE 210. IF
7 THERE HAD BEEN SUFFICIENT APPLICATIONS THAT WERE
8 MERITORIOUS, WE HAD A CONTINGENCY ACCOUNT TO ADDRESS
9 THAT.

10 DR. POMEROY: IF I RECALL, THERE'S GOING
11 TO BE ANOTHER ROUND OF THESE. CAN YOU REMIND US
12 ABOUT THE AMOUNT OF MONEY THAT WAS SET ASIDE FOR
13 THAT?

14 CHAIRMAN KLEIN: THE ADDITIONAL ROUND IS
15 NOT SCHEDULED TO COME BACK TO THIS BOARD UNTIL 2011.
16 BUT THE APPLICATIONS -- THE RFA IS EXPECTED TO BE
17 APPROVED IN APPROXIMATELY OCTOBER OF 2010; IS THAT
18 CORRECT, DR. TROUNSON?

19 DR. TROUNSON: I THINK IT'S PROBABLY A
20 LITTLE LATER THAN THAT, BUT BASICALLY AS SOON AS IS
21 REALLY POSSIBLE. PAT, DO YOU HAVE --

22 DR. OLSON: CONCEPT APPROVAL IS OCTOBER.
23 AND I WOULD REMIND PEOPLE THAT WHAT WE ARE
24 CONTEMPLATING FOR THE NEXT DISEASE TEAM AWARDS
25 PROBABLY WOULD BE MORE LIKE THE PRECLINICAL

BARRISTERS' REPORTING SERVICE

1 DEVELOPMENT CLINICAL STAGE. SO THEY WILL NOT BE
2 STRICTLY EQUIVALENT IN THE OVERLAP WITH EARLY
3 TRANSLATIONAL THAT EXISTED IN THIS ONE. SO PEOPLE
4 WHO ARE RESEARCHERS WHO ARE INTERESTED IN GETTING
5 THEIR DRUG DEVELOPMENT CANDIDATE IN, GETTING THE
6 PRECLINICAL DATA TOGETHER, THE DISEASE MODIFYING
7 ACTIVITY WOULD BE -- SHOULD BE LOOKING AT EARLY
8 TRANSLATIONAL. AND WE WILL MAKE THAT CLEAR IN THE
9 RFA. JUST TO CLARIFY THAT.

10 CHAIRMAN KLEIN: OKAY. THANK YOU. WHAT
11 I'D LIKE TO DO, IF I CAN, HERE IS ADJOURN. I THINK
12 WE'RE GOING TO BE IN THIS EXECUTIVE SESSION UP TO
13 TWO HOURS, AND I BELIEVE THAT WE MAY BREAK THIS
14 EXECUTIVE SESSION AND RECONVENE IT AS WELL SOMETIME
15 TOMORROW MORNING, IF NECESSARY.

16 BUT, MR. HARRISON, IF YOU COULD READ THE
17 QUALIFYING LANGUAGE FOR THE EXECUTIVE SESSION.

18 MS. SAMUELSON: MAY I ASK ONE MORE
19 INFORMATIONAL QUESTION?

20 CHAIRMAN KLEIN: IF MR. HARRISON CAN READ
21 THE SECTION, AND THEN I'M GOING TO TAKE THE
22 QUESTION.

23 MR. HARRISON: SURE. THE BOARD WILL BE
24 CONVENING IN CLOSED SESSION PURSUANT TO HEALTH AND
25 SAFETY CODE SECTION 125290.30(D) TO CONSIDER

BARRISTERS' REPORTING SERVICE

1 CONFIDENTIAL AND PROPRIETARY INTELLECTUAL PROPERTY
2 OR WORK PRODUCT INFORMATION.

3 CHAIRMAN KLEIN: OKAY. AND, MELISSA KING,
4 WOULD YOU TELL US WHERE WE'RE GOING TO CONVENE?

5 MS. KING: YES. WE'LL BE HAVING DINNER
6 AND CLOSED SESSION IMMEDIATELY NEXT DOOR.

7 CHAIRMAN KLEIN: I'M GOING TO ASK THAT WE
8 HEAR JOAN SAMUELSON'S QUESTION. AND THEN IF THE
9 BOARD CAN ASSEMBLE VERY QUICKLY BECAUSE AT THE
10 BEGINNING OF THIS EXECUTIVE SESSION, I'D LIKE TO
11 COVER SOME MATERIALS OF GENERAL INTEREST EXCEPT FOR
12 THOSE IN CONFLICT.

13 MS. SAMUELSON: HOW MANY APPLICATIONS WERE
14 THERE THAT WERE DENIED AT THE PREAP LEVEL?

15 CHAIRMAN KLEIN: THERE WERE A TOTAL OF 72
16 OVERALL APPLICATIONS. SO THE 11 REPRESENTS ABOUT A
17 15-PERCENT SAMPLE OF THE GROSS APPLICATIONS AND
18 ABOUT A 30-PERCENT SAMPLE OF THOSE QUALIFIED FOR THE
19 FULL APPLICATION PROCESS. SO WE'RE DEALING WITH A
20 DIMINISHED SAMPLE, I THINK, WAS JEFF'S POINT.

21 MS. SAMUELSON: SO 41 DIDN'T MAKE THE
22 PREAP CUT?

23 CHAIRMAN KLEIN: YES. SO IF WE CAN
24 ADJOURN AND IMMEDIATELY ASSEMBLE IN THE AREA NEXT
25 DOOR. THANK YOU. THE PORTION OF YOUR BOOKS FOR

BARRISTERS' REPORTING SERVICE

1 MATERIALS THAT DEALS WITH THE GRANT PROGRAM, TAB 11,
2 YOU SHOULD TAKE.

3 (THE BOARD THEN RECESSED TO CLOSED
4 SESSION, NOT REPORTED NOR HEREIN TRANSCRIBED.)

5 CHAIRMAN KLEIN: ALL RIGHT. THANK
6 YOU VERY MUCH FOR YOUR PATIENCE. TONIGHT, IF WE
7 COULD START TO REFRESH THE RECOLLECTION OF EVERYONE
8 THAT'S PRESENT, IF WE COULD, IN FACT, SHOW ON THE
9 SCREEN THE PRIOR LIST OF THE GRANTS DISCRIMINATING
10 BETWEEN THE RECOMMENDED GRANTS AND THE
11 NONRECOMMENDED GRANTS AND INDICATE WHERE THE CUTOFF
12 LINE IS ON THE RECOMMENDED GRANTS AS A STARTING
13 POINT. ALL RIGHT. I THINK WE HAVE SOMETHING CUT
14 OFF THERE AT THE BOTTOM.

15 IT'S MY UNDERSTANDING AS WELL WE HAVE A
16 COUPLE MORE BOARD MEMBERS, MELISSA, WHO WILL BE
17 PRESENT TOMORROW MORNING. IS THAT A CORRECT
18 STATEMENT?

19 MS. KING: THAT WE HAVE A QUORUM?

20 CHAIRMAN KLEIN: THAT WE HAVE A COUPLE
21 MORE BOARD MEMBERS THAT WILL ALSO BE PRESENT
22 TOMORROW MORNING.

23 MS. KING: I'M SORRY. CAN YOU REPEAT THE
24 QUESTION FOR ME?

25 CHAIRMAN KLEIN: WILL THERE BE A COUPLE OF

BARRISTERS' REPORTING SERVICE

1 ADDITIONAL BOARD MEMBERS WHO WILL BE PRESENT
2 TOMORROW MORNING?

3 MS. KING: YES, THERE WILL, BUT WE ALSO
4 HAVE TWO BOARD MEMBERS, I DON'T KNOW IF YOU ALREADY
5 NOTED THIS, THAT JOINED US DURING THE CLOSED
6 SESSION, MICHAEL GOLDBERG AND DAVID SERRANO-SEWELL.

7 MR. TORRES: WELCOME.

8 CHAIRMAN KLEIN: SO WHAT I WOULD PROPOSE
9 IS THAT WE GO THROUGH THOSE APPLICATIONS THAT HAVE
10 AN EXTRAORDINARY PETITION BEFORE WE GO THROUGH THE
11 RECOMMENDED APPLICATIONS SO WE HAVE AN OVERVIEW OF
12 THE ENTIRE FIELD OF APPLICATIONS AND THE INFORMATION
13 THAT'S AVAILABLE FOR A DECISION.

14 DR. TROUNSON, IS THAT AN ACCEPTED
15 APPROACH?

16 DR. TROUNSON: MR. CHAIR, I DON'T HAVE ANY
17 OBJECTION TO THAT. IT'S NOT NECESSARILY THE COMMON
18 WAY WE DO IT, BUT I DON'T HAVE AN OBJECTION.

19 CHAIRMAN KLEIN: JEFF SHEEHY.

20 MR. SHEEHY: I WAS JUST GOING TO SAY OUR
21 POLICY HAS ALWAYS BEEN NOT TO BRING UP AN
22 EXTRAORDINARY PETITION UNLESS A MEMBER BROUGHT IT --
23 UNLESS A MEMBER OF THE BOARD ASKS FOR THAT
24 APPLICATION TO BE CONSIDERED BECAUSE WE -- PART OF
25 THE POLICY IS THAT WHILE WE ACCEPT EXTRAORDINARY

BARRISTERS' REPORTING SERVICE

1 PETITIONS, WE DON'T WANT TO CREATE A SITUATION WHERE
2 PEOPLE FEEL LIKE THAT THEY CAN PUT ONE IN NO MATTER
3 WHAT. PERSONALLY I WOULD PREFER THAT WE JUST ASK
4 PEOPLE TO BRING UP APPLICATIONS -- THAT WE STATE OUR
5 PROCESS, WE EITHER BRING APPLICATIONS UP THAT WE
6 WANT TO BRING UP, OR APPLICATIONS DOWN THAT WE WANT
7 TO BRING DOWN. BUT I THINK GIVING A HEARING TO
8 SOMEONE JUST BECAUSE THEY SUBMITTED AN EXTRAORDINARY
9 APPLICATION IS A VERY BAD PRECEDENT.

10 CHAIRMAN KLEIN: I'M IN CONCURRENCE. MY
11 INTENT WAS TO GO THROUGH THEM BASED UPON THE ONES
12 THAT THE BOARD WANTED TO DISCUSS.

13 MR. SHESTACK: WE ACTUALLY JUST AT THIS
14 POINT GO DOWN THE LIST ONE BY ONE. IT'S NOT SUCH A
15 LONG LIST.

16 CHAIRMAN KLEIN: THE POINT I THINK JEFF IS
17 MAKING IS AS WE GO DOWN THE LIST, WE WILL ASK IF ANY
18 BOARD MEMBER WANTS TO DISCUSS A SPECIFIC
19 APPLICATION.

20 MR. SHESTACK: BUT WE WILL VOTE EN BLOC?

21 CHAIRMAN KLEIN: INDIVIDUALLY BECAUSE WE
22 WANT TO MINIMIZE CONFLICTS AND MAXIMIZE THE
23 POTENTIAL TO HAVE DISCUSSION.

24 DR. AZZIZ: LET ME ASK A POINT OF
25 CLARIFICATION AGAIN BECAUSE WE'RE DEVIATING A LITTLE

BARRISTERS' REPORTING SERVICE

1 BIT, AND I'M FULLY UNDERSTANDING. BUT WE DO HAVE A
2 TIER I AND A TIER III, WE HAVE A NUMBER OF
3 EXTRAORDINARY PETITIONS THAT WE COULD DISCUSS, BUT I
4 DON'T THINK ANY OF THE EXTRAORDINARY PETITIONS
5 ACTUALLY APPLY TO TIER I.

6 MR. SHESTACK: THEY DO.

7 DR. AZZIZ: THEY DO IN TIER I?

8 DR. TROUNSON: NO.

9 DR. AZZIZ: IF I DID, I MISSED THAT ONE.
10 THERE'S A COUPLE OF LETTERS OF SUPPORT ENCOURAGING
11 US TO SUPPORT SOME AREAS OF RESEARCH, BUT I DON'T
12 THINK THERE'S ANY EXTRAORDINARY PETITION FOR A TIER
13 I APPLICATION. I MAY BE WRONG.

14 MR. SHESTACK: NO, BUT I THINK THERE'S
15 INFORMATION IN AN EXTRAORDINARY PETITION FOR A
16 YELLOW GRANT THAT WAS PERTINENT TO THE DECISION IN A
17 TIER I RANKED GRANT.

18 CHAIRMAN KLEIN: I THINK THAT THIS IS A
19 CORRECT STATEMENT. AND THE INTENT WAS TO GO THROUGH
20 THOSE PETITIONS THAT INDIVIDUALS WANTED TO DISCUSS
21 TO SEE AND THEN GO BACK AND TAKE A VOTE. NOW, IT'S
22 ALSO TRUE THAT I WAS TRYING TO INCLUDE IN THE VOTE
23 TOMORROW MORNING THE ADDITIONAL MEMBERS WHO WOULD BE
24 HERE SO WE HAD THE BROADEST VOTE OF THE BOARD
25 MEMBERS POSSIBLE. AND BY HAVING THE DISCUSSION AT

BARRISTERS' REPORTING SERVICE

1 THIS POINT RATHER THAN VOTING ON TIER I, WE HAVE THE
2 OPPORTUNITY TO HAVE A BROADER PARTICIPATION. I'M
3 OPEN TO ANY APPROACH, BUT I'M TRYING TO MAXIMIZE
4 PARTICIPATION.

5 MR. SHEEHY: CAN I MAKE A SUGGESTION? I
6 THINK IT WOULD REALLY BE HELPFUL IF WE STAYED REALLY
7 PRETTY MUCH -- I MEAN I TOTALLY AGREE THAT WE CAN
8 WAIT FOR THE FINAL VOTE UNTIL TOMORROW, BUT OUR
9 TYPICAL MODE OF PROCEEDING IS TO LOOK INTO, FOR
10 INSTANCE, THE BOTTOM TIER, ASK THE QUESTION IS THERE
11 A MOTION TO MOVE AN APPLICATION INTO TIER I, TAKE
12 THOSE MOTIONS, MAKE THOSE VOTES, THOSE ARE NOT
13 DEFINITIVE OR FINAL, AND THEN ALSO AT SOME POINT WE
14 NEED TO ASK THE QUESTION IS THERE ANY APPLICATION IN
15 TIER I THAT PEOPLE WANT TO MOVE OUT OF TIER I, AND
16 JUST GO THROUGH THAT. WE MAY NOT EVEN NECESSARILY
17 GET THROUGH ALL THAT TONIGHT, BUT WE'LL HAVE THAT
18 PREPARED AND ALREADY DONE.

19 WHAT I DON'T THINK WOULD BE HELPFUL IS
20 THAT WE HAVE A DISCUSSION OF THE GRANTS WITHOUT
21 VOTES BECAUSE THAT GETS VERY HARD TO SUSTAIN AND WE
22 END UP TALKING ABOUT IT TWICE.

23 MR. SHESTACK: WHY WOULDN'T YOU WANT TO
24 VOTE ON WHAT YOU CAN VOTE ON NOW? WHY WOULD YOU
25 WANT TO POSTPONE ANY VOTING?

BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: FOR THE FINAL VOTES, WE MAY
2 WANT TO WAIT UNTIL TOMORROW. WE MAY NOT GET THROUGH
3 ALL THIS TONIGHT.

4 CHAIRMAN KLEIN: WHAT JEFF IS PROPOSING
5 COMBINES BOTH BEST CASES.

6 MR. SHESTACK: PLEASE EXPLAIN THE TERM
7 "FINAL VOTE."

8 CHAIRMAN KLEIN: THERE'S A PROVISIONAL
9 VOTE AT THIS POINT TO MOVE SOMETHING UP INTO TIER I.
10 UNTIL WE APPROVE ALL THE TIER II'S, IT IS NOT A
11 FINAL VOTE. RIGHT. THERE'S A PROVISIONAL VOTE THAT
12 WILL BE TAKEN ON SOMETHING THAT'S NOT IN TIER I. IF
13 IT GETS MOVED UP INTO TIER I, WE STILL HAVE TO HAVE
14 THE VOTE ON ALL THE TIER I APPLICATIONS.

15 DR. PRIETO: WE VOTE IN A LUMP SUM EXCEPT
16 FOR THOSE APPLICATIONS FOR WHICH I AM CONFLICTED.

17 MR. SHESTACK: I'M JUST SAYING DO WE HAVE
18 TO? THERE AREN'T SO MANY. THEY'RE A LARGER AMOUNT
19 THAN WE USUALLY VOTE FOR.

20 CHAIRMAN KLEIN: LET ME DO THIS. THE
21 ADVANTAGE OF -- FIRST OF ALL, WHAT JEFF IS PROPOSING
22 IS VERY CONSISTENT WITH THE APPROACH I WAS TAKING,
23 WHICH IS GO THROUGH THEM ONE AT A TIME, SEE IF
24 ANYONE WANTS TO MAKE A MOTION ON THEM. IF THEY DO,
25 THEN WE DISCUSS IT. IF THEY DON'T, WE GO TO THE

BARRISTERS' REPORTING SERVICE

1 NEXT ONE. AND WE'LL SYSTEMATICALLY GO THROUGH THEM
2 TO THE EXTENT THAT ANYONE WANTS TO MAKE A MOTION TO
3 MOVE THEM. ONCE WE HAVE DONE THAT, WE WILL THEN GO
4 TO TIER I AND SEE IF ANYONE WANTS TO MAKE A MOTION
5 TO MOVE ANY OF THOSE. ALL RIGHT. SO WITH THAT --

6 MS. SAMUELSON: MR. CHAIRMAN, I DON'T WANT
7 TO BE A BAD APPLE, BUT THIS IS THE MOST IMPORTANT
8 GRANT CYCLE WE'VE HAD. IT'S THE CLOSEST TO OUR CORE
9 MISSION. AND THERE AREN'T THAT MANY GRANTS. I'M
10 THINKING WE SHOULD HAVE A DISCUSSION ON ALL OF THEM,
11 NOT THAT ALL OF THEM WOULD TAKE A LONG TIME.

12 CHAIRMAN KLEIN: JOAN, THAT'S AVAILABLE TO
13 THE BOARD. AS WE GO THROUGH THE TIER III, IF WE
14 HAVE A MOTION AND A SECOND, WE WILL DISCUSS EACH OF
15 THOSE GRANTS ON WHICH WE HAVE A MOTION AND A SECOND
16 TO MOVE UP TO TIER I. SO WITH THAT, I'D LIKE TO
17 KNOW --

18 MS. SAMUELSON: IS THERE AN ASSUMPTION
19 THAT WE WOULDN'T DISCUSS TIER I ALTHOUGH IT'S ONLY A
20 RECOMMENDATION TO THE BOARD AS WELL?

21 CHAIRMAN KLEIN: WE WILL GO BACK AND
22 DISCUSS TIER I AFTER TIER III AND SEE IF ANYONE
23 WANTS TO MOVE ANY DOWN.

24 MS. SAMUELSON: I'M NOT NECESSARILY
25 SUGGESTING WE DO THAT.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN KLEIN: WE ARE GOING TO DO THAT.

2 MS. SAMUELSON: I JUST ASSUMED THAT WE
3 WOULD WANT TO.

4 CHAIRMAN KLEIN: WE WILL. SO THE FIRST
5 GRANT IN TIER III IS 1485. COULD THE COUNSEL STATE
6 THE CONFLICTS?

7 MR. HARRISON: THE CONFLICT IS MICHAEL
8 GOLDBERG.

9 CHAIRMAN KLEIN: ALL RIGHT. ON 1485 IF
10 WE COULD HAVE -- IS THERE A MOTION THAT WE MOVE 1485
11 INTO TIER I?

12 MR. SHESTACK: IS THERE A MOTION TO --

13 CHAIRMAN KLEIN: TO MOVE 1485 INTO TIER I.

14 MR. SHEEHY: I'LL MAKE THAT MOTION.

15 CHAIRMAN KLEIN: ALL RIGHT. WE NEED A
16 SECOND. I WILL SECOND THAT MOTION. DISCUSSION? WE
17 NEED THE -- IF WE COULD HAVE THE SCIENCE OFFICER.

18 DR. TROUNSON: MICHAEL YAFFE WILL LEAD THE
19 DISCUSSION FOR YOU, CHAIR.

20 DR. YAFFE: WOULD YOU LIKE A SYNOPSIS OF
21 IT, MR. CHAIRMAN?

22 CHAIRMAN KLEIN: PLEASE IF YOU COULD DO A
23 SHORT SYNOPSIS.

24 DR. YAFFE: THIS IS PROPOSAL FOCUSED ON
25 THE DEVELOPMENT OF A NOVEL TREATMENT FOR ACUTE

BARRISTERS' REPORTING SERVICE

1 MYELOID LEUKEMIA, AML. THE TREATMENT'S BASED ON A
2 THERAPEUTIC MONOCLONAL ANTIBODY THAT TARGETS THE
3 CELL SURFACE MOLECULE CD 47 PREFERENTIALLY EXPRESSED
4 ON LEUKEMIA STEM CELLS.

5 THESE CELLS ARE THOUGHT TO DRIVE THE
6 LEUKEMIA AND TO DISPLAY ELEVATED RESISTANCE TO
7 CONVENTIONAL CHEMOTHERAPY AGENTS. ANTIBODY BINDING
8 TO CD 47 OR TO ADDITIONAL CELL SURFACE MOLECULES IS
9 EXPECTED TO FACILITATE MACROPHAGE-MEDIATED
10 PHAGOCYTOSIS AND REMOVE THE LEUKEMIC STEM CELLS.
11 THAT'S THE BASIS FOR THE THERAPY, THE PROPOSED
12 THERAPY.

13 THE APPLICANT WILL DEVELOP A HUMANIZED
14 BLOCKING ANTIBODY AND TEST ITS EFFICACY IN A MOUSE
15 XENOTRANSPLANTATION MODEL AND ALSO IDENTIFY
16 ADDITIONAL POTENTIAL CELL SURFACE TARGETS ON AML
17 LEUKEMIC STEM CELLS. THEY'LL EVALUATE THE
18 THERAPEUTIC VALUE OF SUCH ANTIBODIES AND IN LATER
19 STAGES OF THE STUDY DEVELOP GMP-GRADE PRODUCTION OF
20 PROMISING ANTIBODIES FOLLOWED BY EFFICACY AND SAFETY
21 TESTING AND APPROPRIATE IN VIVO MODELS AND PROCEED
22 WITH PREPARATION OF AN IND.

23 THIS PROPOSAL WAS EVALUATED BY THE GRANTS
24 REVIEW GROUP WHICH RECOGNIZED A NUMBER OF IMPORTANT
25 STRENGTHS AND CRITICIZED THE PROPOSAL BASED ON SOME

BARRISTERS' REPORTING SERVICE

1 PERCEIVED WEAKNESSES. KEY STRENGTHS OF THIS
2 PROPOSAL WERE THE PI AND PARTNER PI WHO ARE
3 RECOGNIZED AS OUTSTANDING WORLD LEADERS IN THEIR
4 FIELDS, COMPLEMENTARY AND WELL-ESTABLISHED
5 COLLABORATIONS, AND THE MEDICAL NEED FOR BETTER
6 TREATMENTS OF AML. THE SIGNIFICANCE WAS VIEWED AS
7 EXTREMELY HIGH.

8 WEAKNESSES PERCEIVED BY THE GRANTS WORKING
9 GROUP INCLUDED SERIOUS CONCERNS ABOUT THE VALIDITY
10 OF THE PROPOSED THERAPEUTIC TARGET AND OTHER ISSUES
11 CONCERNING THE PROJECT'S FEASIBILITY.

12 I'M HAPPY TO ELABORATE OR ANSWER
13 ADDITIONAL QUESTIONS.

14 MR. SHESTACK: WHAT WAS THE SCORE?

15 CHAIRMAN KLEIN: WHAT WAS THE SCORE ON
16 THIS GRANT?

17 DR. YAFFE: THE SCORE ON THIS GRANT WAS
18 65.

19 CHAIRMAN KLEIN: AND COULD YOU INDICATE
20 THE STAFF'S POSITION ON THE REBUTTAL OF THE POINTS?
21 SPECIFICALLY I BELIEVE THIS GRANT WAS CENTRALLY --
22 ONE OF THE CENTRAL FIGURES IN GRADING THIS DOWN WAS
23 AN ASSERTION THAT YOU COULDN'T HAVE A THERAPY IF YOU
24 ONLY HAD A TWO TIMES OVEREXPRESSION. I BELIEVE THAT
25 THE STAFF HAS ANALYZED WHETHER, IN FACT, THAT YOU

BARRISTERS' REPORTING SERVICE

1 CAN' T HAVE AN EFFECTIVE THERAPY WHEN THE
2 OVEREXPRESSION IS IN THAT RANGE.

3 DR. YAFFE: WELL, THIS WAS A CONTENTION OF
4 THE APPLICANT IN THE EXTRAORDINARY PETITION ABOUT A
5 TWOFOLD DIFFERENCE IN THE EXPRESSION LEVEL OF THIS
6 TARGET MOLECULE. THE APPLICANT FELT THAT THIS WOULD
7 BE SUFFICIENT TO PROVIDE A THERAPEUTIC WINDOW. THE
8 REVIEWERS FELT THIS WOULD NOT BE SUFFICIENT.

9 CHAIRMAN KLEIN: OKAY.

10 DR. YAFFE: WE VIEW THIS AS A DIFFERENCE
11 IN SCIENTIFIC OPINION BETWEEN THE REVIEWERS AND THE
12 APPLICANT.

13 CHAIRMAN KLEIN: ALL RIGHT. I THINK THAT
14 THE REBUTTAL WENT SUBSTANTIALLY BEYOND THAT IN
15 PROVIDING EVIDENCE THAT, IN FACT, THERE WERE TWO
16 THERAPIES AT LEAST THAT WERE WELL-KNOWN THAT, IN
17 FACT, WERE EFFECTIVE WITH TWO TIMES OVEREXPRESSION;
18 IS THAT RIGHT?

19 DR. YAFFE: IT WASN' T TWO TIMES. THERE
20 WAS A POINT MADE THAT THERE HAVE BEEN EFFECTIVE
21 THERAPIES IN THE CASE WHEN THERE' S BEEN SMALL
22 DIFFERENCE IN EXPRESSION LEVELS.

23 DR. TROUNSON: MR. CHAIR, THE ISSUE THAT
24 YOU ARE TRYING TO DRAW ON IS THAT THERE' S A TWO
25 TIMES DIFFERENCE, WHICH IS LOW IN THIS CASE. IT

BARRISTERS' REPORTING SERVICE

1 WOULD BE THOUGHT TO BE A LOW DIFFERENCE, A MINOR
2 DIFFERENCE. AND THERE'S ALSO EXPRESSION OF THE
3 CD 47 ANTIGEN WIDELY IN THE BODY, THAT THAT COULD
4 ACT AS ANTIGEN SINK, TAKE OUT THE ANTIBODY. IN
5 FACT, THE APPLICANTS HAVE SHOWN SOME EFFECTIVE
6 TREATMENT OF THEIR ANIMAL MODEL.

7 SO THE SENSE OF IT IS THAT IF YOU'VE GOT
8 AN EFFECTIVE TREATMENT, AND EVEN IT IS ONLY A TWO
9 TIMES DIFFERENCE AND THERE'S NOT AN ANTIGEN SINK
10 WHICH IS STOPPING THE EFFECT, THEN YOU'VE STILL GOT
11 AN EFFECTIVE TREATMENT. SO THERE IS SOME VERY NOVEL
12 COMPONENT PARTS OF THIS. THEY CALL IT A
13 DON'T-EAT-ME ANTIGEN. IF IT IS WIDESPREAD, IF IT'S
14 EFFECTIVE AND YOU CAN BLOCK IT, YOU MIGHT VERY WELL
15 HAVE QUITE A MAJOR DIFFERENCE IN CANCER MORE BROADLY
16 THAN EVEN THE CONDITION THAT IT'S FOCUSED ON.

17 SO I THINK WHERE WE'RE AT IS WE'RE NOT
18 REALLY DISAGREEING WITH THE REVIEWERS, AND I DON'T
19 THINK WE'RE DISAGREEING WITH THE PI. I THINK IT'S
20 GENUINELY A PROJECT THAT'S IN THAT AREA WHERE YOU
21 CAN MAKE A DECISION ON, AND I DON'T THINK ANY OF US
22 WOULD BE REALLY TOO UNCOMFORTABLE ABOUT WHATEVER
23 DECISION THAT YOU MAKE.

24 CHAIRMAN KLEIN: OKAY. DR. LOVE, YOU WERE
25 SERVING AS THE ACTING SCIENTIFIC OFFICER DURING THIS

BARRISTERS' REPORTING SERVICE

1 REVIEW. WOULD YOU LIKE TO MAKE A COMMENT?

2 DR. LOVE: I WAS JUST GOING TO
3 REEMPHASIZE, I THINK, WHAT ALAN JUST SAID. I DON'T
4 THINK ANYBODY WOULD SUGGEST THAT THE TWOFOLD
5 DIFFERENCE IS A STRENGTH. IT WOULD BE MUCH MORE
6 DESIRABLE, I THINK EVERYONE WOULD ADMIT, FOR THERE
7 TO BE ABSOLUTE PERFECTION IN TERMS OF IT ONLY
8 EXISTING ON THE TARGET AND NOT EXISTING ON ANY OTHER
9 CELL. THAT CLEARLY DOESN'T EXIST. BUT AS ALAN
10 SAID, THE GRANT APPLICANT PROVIDED INFORMATION THAT
11 SHOWS IN HIS MODEL THAT IT SEEMS TO WORK. AND AS
12 YOU SAID, THERE ARE THERAPIES ON THE MARKET WHERE
13 THERE'S ACTUALLY NOT A GREAT DEAL OF DIFFERENCE OF
14 EXPRESSION OF THE ANTIGEN, PARTICULARLY THE EGFR
15 RECEPTOR ANTAGONIST. THERE'S NOT A GREAT DEAL OF
16 DIFFERENCE BETWEEN THE EXPRESSION ON THE TUMORS AND
17 ON MANY OTHER CELLS, AND YET THESE THERAPIES STILL
18 WORK. ACTUALLY TO MAKE IT EVEN MORE INTERESTING,
19 THERE'S A TOTAL LACK OF CORRELATION BETWEEN
20 OVEREXPRESSION OF THE ANTIGEN AND EFFICACY OF THE
21 THERAPY.

22 SO I THINK AT THE END OF THE DAY, THERE
23 ARE A LOT OF OUTSTANDING ISSUES HERE, AND THAT'S WHY
24 I DO THINK THAT IT'S OPEN FOR DEBATE SCIENTIFICALLY.
25 AND I THINK THE BOARD SHOULD FEEL, AT LEAST IN MY

BARRISTERS' REPORTING SERVICE

1 VIEW, COMFORTABLE COMING DOWN ON EITHER SIDE OF
2 THIS. I DEFINITELY DON'T THINK THERE'S ANYTHING TO
3 SUGGEST IT WOULD BE UNSAFE OR UNWISE OR
4 IRRESPONSIBLE TO FUND THIS GRANT.

5 CHAIRMAN KLEIN: ALL RIGHT. ADDITIONAL
6 COMMENTS FROM BOARD MEMBERS ON THIS?

7 MS. SAMUELSON: YEAH. IN THAT EVENT,
8 GIVEN THE HIGH IMPACT OF A POSSIBLE SUCCESS OF THIS
9 APPROACH ON A POPULATION OF CALIFORNIANS AND
10 AMERICANS, WHY WOULD WE NOT WANT TO FUND IT, I
11 GUESS, IS MY QUESTION?

12 CHAIRMAN KLEIN: IT DEFINITELY IS A HIGH
13 IMPACT WHICH THE REVIEWERS DID TAKE NOTE OF. I
14 WOULD JUST LIKE TO SAY THAT THIS IS A TEAM THAT WAS
15 NOTED FOR SUBSTANTIAL STRENGTH, I BELIEVE, BOTH IN
16 THE U.S. COMPONENT AND IN THE BRITISH COMPONENT. IS
17 THAT A CORRECT STATEMENT?

18 DR. YAFFE: THAT'S CORRECT.

19 CHAIRMAN KLEIN: AND THERE IS AN
20 EXTRAORDINARY DEPTH TO THIS TEAM THAT WAS
21 ACKNOWLEDGED. IN THAT CONTEXT I THINK IT IS ALSO
22 IMPORTANT TO NOTE THAT AMONG THE REVIEWERS WERE A
23 NUMBER OF PEOPLE WHO DON'T BELIEVE THAT CANCER STEM
24 CELLS EXIST AT ALL. VERY STRONG IDEOLOGICAL
25 POSITION.

BARRISTERS' REPORTING SERVICE

1 MR. SHESTACK: DON' T BELIEVE WHAT?

2 CHAIRMAN KLEIN: THAT CANCER STEM CELLS
3 EXIST AT ALL. THERE' S A SUBSTANTIAL -- THERE ARE
4 MAJOR SCHOOLS IN CALIFORNIA AS A DOMINANT AREA WHERE
5 THERE' S A LOT OF PEOPLE WHO BELIEVE IN CANCER STEM
6 CELLS, THERE' S A LOT OF PUBLISHED INFORMATION THAT
7 CANCER STEM CELLS EXIST IN VARIOUS DIFFERENT CANCER
8 TYPES. IN THE UK THERE' S SOME SUBSTANTIAL SUPPORT
9 FOR CANCER STEM CELLS AS WELL AS IN CANADA AND SOME
10 OTHER COUNTRIES.

11 BUT MY PERSONAL IMPRESSION IS THAT THIS
12 GRANT COULD EASILY HAVE BEEN AFFECTED IN THAT REVIEW
13 BY THE FACT THAT THERE IS THIS VERY STRONG
14 REPRESENTATION OF INDIVIDUALS THAT DIDN' T BELIEVE IN
15 CANCER STEM CELLS IN THAT REVIEW. I THINK THEY
16 WOULD MAKE A VERY SINCERE EFFORT TO BE OBJECTIVE,
17 AND I' M SURE THEY MADE A FULL EFFORT TO BE
18 OBJECTIVE. THE ISSUE HERE IS I FIND IT DIFFICULT
19 FOR SOMEONE WHO IS PASSIONATELY COMMITTED TO ONE OF
20 THESE SCHOOLS OR ANOTHER TO HAVE A COMPLETELY
21 OBJECTIVE EVALUATION ON THIS ALTHOUGH CERTAINLY THEY
22 MAY HAVE. I THINK THAT' S ANOTHER ISSUE.

23 DR. TROUNSON: CHAIR, RESPECTFULLY I
24 DISAGREE WITH YOU. I ACTUALLY THINK THERE WAS VERY
25 LITTLE VARIANCE IN THE MARK GIVEN TO THIS GRANT. SO

BARRISTERS' REPORTING SERVICE

1 WHILE THERE IS, AS YOU SAY, A VARIANCE IN THE
2 COMMUNITY, THE SCIENTIFIC COMMUNITY, ON THIS MATTER,
3 I DON'T THINK THIS NECESSARILY PREJUDICED THIS
4 PARTICULAR GRANT.

5 THE ONLY OTHER THING THAT I THINK I SHOULD
6 DRAW TO THE ATTENTION OF THE BOARD IS THAT THE
7 MEDICAL RESEARCH COUNCIL, WHICH IS RESPONSIBLE FOR
8 THE BRITISH PART OF IT, EXAMINED THE ISSUE AND HAD
9 THEIR SCIENTIFIC TEAM LOOK AT THE ISSUE, AND THEY
10 CAME OUT ADVICE TO US THAT IF THE BOARD WAS WILLING
11 TO SUPPORT THIS PROJECT, THEN THE MRC WOULD BE.

12 CHAIRMAN KLEIN: ALL RIGHT. DR. LEVIN.

13 DR. LEVIN: MY QUESTION WAS JUST THAT,
14 WHAT WAS THE VARIANCE IN THE SCORES? WERE THEY ALL
15 65S OR DID THEY BREAK INTO TWO CAMPS?

16 DR. TROUNSON: SPECIFICALLY --

17 DR. YAFFE: STANDARD DEVIATION WAS VERY
18 SMALL.

19 DR. TROUNSON: VERY SMALL HERE. I ASKED
20 ABOUT SOME OF THESE PROJECTS. THERE ARE SOME
21 DIFFERENCES, AND THIS WAS NOT ONE OF THEM.

22 CHAIRMAN KLEIN: WHAT WE'RE DEALING WITH
23 HERE IS A DIFFERENCE OF FIVE POINTS, WHETHER IT'S
24 WITHIN THE 70-POINT CUTOFF THEY USED OR NOT. SO IT
25 DOESN'T TAKE MUCH VARIANCE.

BARRISTERS' REPORTING SERVICE

1 DR. LOVE: I WOULD JUST SAY AND THERE WAS
2 ACTUALLY A ROBUST DISCUSSION IN THE GRANTS WORKING
3 GROUP ABOUT WHETHER OR NOT THIS GRANT SHOULD GO UP
4 OR DOWN IN TERMS OF THE FUNDING. SO THERE WERE
5 PEOPLE ON THE COMMITTEE WHO MADE, I THINK, A CASE
6 THAT THIS GRANT COULD BE FUNDED, AND THERE WERE
7 PEOPLE OF THE OTHER OPINION.

8 MR. TORRES: I VOTED AYE THEN, AND I WANT
9 TO VOTE AYE AGAIN.

10 DR. POMEROY: BOB, I HAVE A COUPLE OF
11 QUESTIONS HERE ON SOME OTHER ISSUES. THE REVIEW
12 TALKS ABOUT A SIGNIFICANT CONCERN BECAUSE THE
13 TREATMENT WOULD DEPEND UPON MACROPHAGE PHAGOCYTOSIS,
14 AND THE REVIEWERS FELT THAT IT WAS UNLIKELY THAT
15 SOMEONE WHO HAD RECEIVED CHEMOTHERAPY OR HAD
16 LEUKEMIA WOULD HAVE ROBUST MACROPHAGE ACTIVITY.

17 AND IT SPECIFICALLY SAYS THAT THE
18 APPLICANT DIDN'T ADDRESS THAT POTENTIAL
19 COMPLICATION. AND THE REVIEW ALSO GOES ON TO SAY
20 THAT THE PROPOSAL LACKED ADEQUATE DISCUSSION OF
21 POTENTIAL PITFALLS AND ALTERNATE PLANS SHOULD
22 ROADBLOCKS BE ENCOUNTERED. I THINK WE HEARD A
23 NUMBER OF THINGS WHICH SUGGEST THERE COULD BE
24 ROADBLOCKS BECAUSE OF THESE CONCERNS.

25 DO YOU HAVE ANY ADDITIONAL INFORMATION

BARRISTERS' REPORTING SERVICE

1 THAT PERTAINS TO THOSE TWO POINTS?

2 DR. YAFFE: WITH REGARD TO THE MACROPHAGE
3 ACTIVITY, THE APPLICANT IN HIS EXTRAORDINARY
4 PETITION SUGGESTED THAT, BASED ON CLINICAL PRACTICE
5 AND EXPERIENCE FROM CLINICAL PRACTICE, IN AML MANY
6 PATIENTS DO NOT HAVE A DECREASED LEVEL OF MACROPHAGE
7 FUNCTION. BUT THERE WAS NO SUCH DATA SUPPLIED WITH
8 THE APPLICATION, SO THE CRITICISM STANDS, AND WE'RE
9 LEFT WITH A DIFFERENCE OF OPINION BETWEEN THE
10 APPLICANT AND THE COMMITTEE.

11 THE OTHER THING THAT THE GRANTS REVIEW
12 GROUP POINTED OUT IN THIS REGARD IS THAT THE INITIAL
13 TARGET POPULATION PROBABLY WHICH THE COMMITTEE FELT
14 WOULD BE USED TO TEST THIS THERAPY WOULD BE PATIENTS
15 WHO HAD ALREADY UNDERGONE SOME CONSIDERABLE
16 CHEMOTHERAPY. AND THAT'S GOING TO BE A DIFFERENT
17 POPULATION FROM THE NAIVE NEW CANCER PATIENT WHO HAD
18 NEVER EXPERIENCED CHEMOTHERAPY. UNFORTUNATELY WE'RE
19 LEFT WITHOUT DATA HERE.

20 CHAIRMAN KLEIN: I'M NOT SURE THAT THAT'S
21 CORRECT.

22 DR. PRIETO: CAN I RESPOND TO THAT? I
23 THINK CLINICALLY THAT THERE'S AMPLE EVIDENCE THAT
24 THAT ISN'T CORRECT. AND ONE OF THE OTHER THAT THOSE
25 PATIENTS DON'T HAVE WHO HAVE HAD CHEMOTHERAPY DON'T

BARRISTERS' REPORTING SERVICE

1 SHOW SIGNS OF THE TYPE OF INFECTIONS YOU WOULD
2 EXPECT IF THEY DIDN'T HAVE ADEQUATE MACROPHAGE
3 FUNCTION.

4 AND THE OTHER ISSUE THAT'S SORT OF TANGENT
5 TO THIS, BUT THAT ANOTHER ONE OF THE EXTRAORDINARY
6 APPLICATIONS BROUGHT UP, WAS THAT WE ONLY ALLOW FOUR
7 PAGES OF PRELIMINARY DATA IN THE APPLICATION, AND
8 THEY MENTIONED THAT THEY MIGHT HAVE MENTIONED THIS
9 IF THEY HAD NOT BEEN SPACE CONSTRAINED. SO IT'S
10 JUST A POINT.

11 CHAIRMAN KLEIN: AND, DR. LOVE, I BELIEVE
12 THERE WAS A SPECIFIC REBUTTAL OF THIS ANALOGIZING TO
13 A SITUATION WHERE THERE'S BEEN TESTING TO SHOW THAT
14 MACROPHAGES, IN FACT, DO SURVIVE IN A SIMILAR --

15 DR. LOVE: I THINK THERE WERE TWO LINES,
16 AND PROBABLY ONE IS VERY CLEAR. THAT IS THAT
17 CLINICALLY THESE PATIENTS DON'T GET SOME OF THE
18 INFECTIONS THAT YOU WOULD EXPECT FROM PEOPLE WHO
19 HAVE NO MACROPHAGE FUNCTION. SO, IN FACT, THEY
20 PROBABLY DO HAVE SOME MACROPHAGE FUNCTION. HOW THAT
21 WILL TRANSLATE IN TERMS OF THE DEGREE OF
22 EFFECTIVENESS ON THIS THERAPY, WE DON'T KNOW, BUT
23 IT'S CLEAR THAT THERE IS SOME MACROPHAGE ACTIVITY
24 LEFT.

25 THE OTHER EXAMPLE THAT WAS REFERRED TO WAS

BARRISTERS' REPORTING SERVICE

1 THE USE OF RITUXIMAB. AND, AGAIN, IT'S CLEARLY
2 WORKING THROUGH MACROPHAGE ACTIVITY IN SOME OF THESE
3 PATIENTS.

4 DR. YAFFE: WITH ALL DUE RESPECT, DR.
5 LOVE, I HAVE TO SAY THAT THE RITUXAN IS NOT AN
6 ADEQUATE ANALOGY BECAUSE RITUXAN'S MODE OF ACTION
7 DOES NOT DEPEND ON MACROPHAGE FUNCTION.

8 DR. LOVE: I KNOW RITUXAN B-CELLS, BUT I
9 THOUGHT THE REFERENCE WAS THAT SOME OF THE ACTIVITY
10 OF THE RITUXIMAB IS, IN FACT, BEING MEDIATED THROUGH
11 MACROPHAGE --

12 DR. YAFFE: THAT'S THE SUGGESTION IN THE
13 PETITION. BUT, IN FACT, THE DATA FROM THE
14 SCIENTIFIC LITERATURE INDICATES THE RITUXAN KILLS BY
15 THREE MECHANISMS THAT'S NOT DEPENDENT ON MACROPHAGE.
16 IT'S DEPENDENT -- IT INDUCES APOPTOSIS AND IT
17 RECRUITS KILLER T-CELLS. SO THAT PARTICULAR -- I
18 THINK THAT YOUR FIRST ARGUMENT ABSOLUTELY IS ONE
19 THAT WE SHOULD CONSIDER AND HAS VALIDITY. THE
20 SECOND WHICH THE APPLICANT RAISED IS PROBABLY NOT
21 SUPPORTED BY THE SCIENTIFIC AND MEDICAL LITERATURE.

22 DR. LOVE: I'LL REST WITH MY FIRST
23 ARGUMENT THEN.

24 CHAIRMAN KLEIN: THANK YOU VERY MUCH. ANY
25 ADDITIONAL QUESTIONS BY MEMBERS?

BARRISTERS' REPORTING SERVICE

1 MR. SHESTACK: COULD YOU, ALAN, TALK ABOUT
2 THIS IN TERMS OF THE GO/NO-GO RUBRIC THAT WE'RE
3 USING FOR THESE AWARDS?

4 DR. TROUNSON: I'LL TAKE THAT, JON. THE
5 GRANTS ARE GOING TO BE SUBJECT TO MILESTONE
6 EVALUATION AS YOU WOULD IN COMMERCIAL GRANTS.
7 THEY'RE VERY CLOSELY SUPERVISED WITH RESPECT TO
8 MEETING MILESTONES. IN THE FIRST YEAR WE WILL HAVE
9 MILESTONES, AND THEY WILL BE CONNECTED TO GO/NO-GO
10 DECISIONS. WE'LL BE NEGOTIATING WITH THE APPLICANTS
11 TO ENSURE THAT THERE IS POINTS IN THE PROJECT, IF
12 THEY DON'T MAKE SUITABLE PROGRESS IN THE PROJECT IN
13 MEETING SOME OF THE DEMANDS THAT ARE APPLIED, THAT
14 WE WILL FOREGO FUNDING IF IT WAS A GO/NO-GO DECISION
15 IF THEY FAILED TO MAKE THAT MILESTONE IF THEY HAD
16 REASONABLE OPPORTUNITY TO DO THAT.

17 SO THAT'S GOING TO BE A MAJOR ACTIVITY OF
18 OUR NEW VICE PRESIDENT R & D TO BE WITH THESE GRANTS
19 WORKING WITH THEIR STEERING COMMITTEES, BUT ALSO
20 WITH OUR OWN ADVISORY COMMITTEE TO MAKE SURE THAT
21 THE PROGRESS OF THE GRANTS IS CONTINUOUS AND ON
22 TARGET TO GET TO THE IND. SO IF THEY DON'T, WE WILL
23 TERMINATE THEM AND WE'LL RETURN THE MONEY TO THE
24 POOL. IF THEY DO, IF THERE'S REASON TO BELIEVE SOME
25 ADJUSTMENT WOULD ENABLE IT TO HAPPEN, AND WE WOULD

BARRISTERS' REPORTING SERVICE

1 BE GUIDED BY THE COMMITTEE AND BY OUR NEW SENIOR
2 STAFF MEMBER, THEN WE MAY CONTINUE, PROBABLY
3 CONTINUE THE PROJECT TILL THE NEXT POINT. IF THEY
4 DIDN'T MAKE THAT, WE WOULD CERTAINLY CLOSE IT DOWN.

5 SO ALL OF THESE PROJECTS ARE GOING TO BE
6 SUBJECT TO THOSE GO/NO-GO DECISIONS BECAUSE THESE
7 ARE BIG PROJECTS, THEY'RE \$20 MILLION, OFTEN UP TO
8 \$20 MILLION OR EVEN FURTHER IN THE CASE OF SOME OF
9 THE CANADIAN AND THE UK GRANTS. THIS PARTICULAR
10 GRANT IS BIGGER THAN 20 MILLION. IT WILL BE
11 INCLUDING, I THINK, \$4.3 MILLION FROM THE MRC IN
12 ENGLAND FUNDING THE OXFORD COMPONENT. AND WE'VE NOW
13 COME TO AGREEMENT WITH THE MRC THAT WE WILL DUALY
14 EXAMINE THESE PROJECTS ON THEIR PROGRESS GOING
15 FORWARD. SO I HOPE YOU FEEL THAT WE WILL PUT IN
16 THAT EFFORT TO SUPPORT WHATEVER DECISIONS THAT YOU
17 MAKE.

18 MR. SHESTACK: THE REASON I BRING IT UP IS
19 I JUST WANT TO MAKE CLEAR THAT IF WE DECIDE AS A
20 GROUP TO FUND THIS GRANT, IT'S NOT BECAUSE THEY HAD
21 A SUCCESSFUL EXTRAORDINARY PETITION THAT THEY
22 PRESENTED. THEIR EXTRAORDINARY PETITION COMPLAINED
23 ABOUT THEIR SCORE. AND THEY MIGHT HAVE BEEN VALID,
24 BUT IT'S BECAUSE THE GROUP HAS DECIDED THAT THIS IS
25 EXCELLENT, THE AUSPICES ARE EXCELLENT, THE WORK IS

BARRISTERS' REPORTING SERVICE

1 PROBABLY GOOD, AND TO EXTEND THE PAYLINE BECAUSE
2 THERE MAY BE DOWN THE ROAD GRANTS THAT ACTUALLY
3 WHERE TRULY NEW INFORMATION IS PRESENTED TO THE
4 GROUP. AND I REALLY WANT US TO MAKE THE DISTINCTION
5 BECAUSE THIS IS A NEW PROCEDURE, THE EXTRAORDINARY
6 PETITION, AND I DON'T WANT IT TO BE INTERPRETED FOR
7 THE FUTURE, FOR FUTURE APPLICANTS, AS A CHANCE TO
8 JUST GET REREVIEWED. IT'S NOT WHAT IT'S MEANT FOR.
9 BUT OFTEN THIS GROUP HAS DECIDED TO EXTEND -- HAS
10 DECIDED TO FUND THINGS IF FUNDING WAS AVAILABLE, AND
11 AS IT HAPPENS THERE IS FUNDING AVAILABLE. I JUST
12 WANT TO FRAME --

13 CHAIRMAN KLEIN: IT IS ALSO AN OPPORTUNITY
14 TO CORRECT MISTAKES OR FACTUAL ERRORS IN THE PROCESS
15 THAT CAN BE DISCOVERED. AND THAT, IN FACT, THE
16 WHOLE PROCESS IS A SAFEGUARD AND AN ABILITY FOR US
17 TO MAKE AN INDEPENDENT JUDGMENT.

18 I'D LIKE TO, DR. LOVE, YOU WERE THE ACTING
19 SCIENTIFIC OFFICER AT THE TIME OF THIS. SO LOOKING
20 AND HEARING ALL OF THESE DISCUSSIONS, WHAT IS YOUR
21 OPINION AS TO WHETHER THIS WOULD BE REASONABLE TO
22 FUND HAVING CERTAINLY THE VERY IMPORTANT POINT THAT
23 MR. SHESTACK HAS MADE --

24 MR. ROTH: HOW FAR ARE WE GOING TO GO FROM
25 THIS?

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN KLEIN: -- MR. SHESTACK HAD MADE
2 WHICH IS WE HAVE MILESTONE FUNDING POINTS.

3 MR. ROTH: WE LOBBIED THIS ONE. I THINK
4 WE'VE HAD ENOUGH DISCUSSION.

5 CHAIRMAN KLEIN: CALL THE QUESTION. AND I
6 WILL --

7 MR. ROTH: LEEZA HAS HAD A QUESTION.

8 MS. GIBBONS: I WAS JUST GOING TO SAY TO
9 YOUR POINT THAT REGARDLESS OF THE EXTRAORDINARY
10 PETITION PROCESS, EVEN IF YOU JUST TAKE WHAT THE
11 SCIENCE TEAM HAS GIVEN US HERE, WE'VE GOT A FAIRLY
12 HIGH SCORE, WE'VE GOT A LOT OF MERIT FOR THIS
13 PROPOSAL, WE'VE GOT A LOT OF RESPECT FOR THE PI AND
14 FOR THE TEAM, AND I THINK WE HEARD DR. TROUNSON AND
15 OTHERS SAY THERE WAS RESPECT FOR SCIENTIFIC
16 DISAGREEMENT ON SOME OF THESE ISSUES, AND THEY WOULD
17 BE COMFORTABLE WITH US GOING EITHER WAY. IS THAT
18 NOT CORRECT?

19 CHAIRMAN KLEIN: THAT'S RIGHT. SUCCINCTLY
20 PUT. PUBLIC COMMENT ON THIS GRANT?

21 MR. REED: THIS APPLIES ALSO TO THE
22 GENERAL FEELING I'M GETTING. THERE'S A SUPPOSEDLY
23 TRUE STORY ABOUT A SETTLER WHO WAS FOUND DEAD AFTER
24 AN INDIAN ATTACK, AND HE HAD ARROWS ALL THROUGH HIS
25 BODY. AND HIS HAND WAS A RIFLE WHICH HELD A

BARRISTERS' REPORTING SERVICE

1 SINGLE SHOT UNFIRED. I HATE THE THOUGHT OF THAT.
2 IF IT WAS ME, I WOULD FIRE THE SHOT, I WOULD THROW
3 THE RIFLE, I WOULD PICK UP A ROCK. I THINK THAT
4 SHOULD BE OUR APPROACH TOWARD THIS MONEY THAT WE
5 HAVE.

6 WE'RE FIGHTING THE MOST HORRIBLE DISEASES
7 ON EARTH. IF THIS IS ONE THAT'S CLOSE, MY FEELING
8 IS WE SHOULD GO FOR IT.

9 CHAIRMAN KLEIN: I THINK IT'S AN IMPORTANT
10 POINT, THAT WE HAVE TO BE DEALING WITH SCIENTIFIC
11 MERIT HERE. IF WE COULD CALL THE ROLL ON THIS.
12 AND, AGAIN, IT'S MICHAEL GOLDBERG WHO IS IN
13 CONFLICT; IS THAT CORRECT?

14 MS. KING: THAT IS CORRECT.

15 CHAIRMAN KLEIN: THIS IS A MOTION TO MOVE
16 THIS INTO TIER I.

17 MS. KING: RICARDO AZZIZ.

18 DR. AZZIZ: ABSTAIN.

19 MS. KING: ROBERT PRICE.

20 DR. PRICE: YES.

21 MS. KING: FLOYD BLOOM.

22 DR. BLOOM: YES.

23 MS. KING: JACOB LEVIN.

24 DR. LEVIN: YES.

25 MS. KING: LEEZA GIBBONS.

BARRISTERS' REPORTING SERVICE

1 MS. GIBBONS: YES.
2 MS. KING: BOB KLEIN.
3 CHAIRMAN KLEIN: YES.
4 MS. KING: GERALD LEVEY.
5 DR. LEVEY: YES.
6 MS. KING: TED LOVE.
7 DR. LOVE: YES.
8 MS. KING: ED PENHOET.
9 DR. PENHOET: YES.
10 MS. KING: CLAIRE POMEROY.
11 DR. POMEROY: YES.
12 MS. KING: FRANCISCO PRIETO.
13 DR. PRIETO: YES.
14 MS. KING: ROBERT QUINT.
15 DR. QUINT: YES.
16 MS. KING: DUANE ROTH.
17 MR. ROTH: NO.
18 MS. KING: JOAN SAMUELSON.
19 MS. SAMUELSON: YES.
20 MS. KING: DAVID SERRANO-SEWELL.
21 MR. SERRANO-SEWELL: YES.
22 MS. KING: JEFF SHEEHY.
23 MR. SHEEHY: YES.
24 MS. KING: JON SHESTACK.
25 MR. SHESTACK: NO.

BARRISTERS' REPORTING SERVICE

1 MS. KING: OSWALD STEWARD.

2 DR. STEWARD: ABSTAIN.

3 MS. KING: ART TORRES.

4 MR. TORRES: AYE.

5 CHAIRMAN KLEIN: THANK YOU. THE NEXT ITEM
6 IS --

7 MS. KING: FOR THE RECORD, I'D JUST LIKE
8 TO STATE THAT THAT MOTION CARRIES. I WAS GIVING
9 COUNSEL TIME TO COUNT, BUT I THINK I CAN MAKE THAT
10 STATEMENT.

11 CHAIRMAN KLEIN: THANK YOU. THE NEXT ITEM
12 IS 1480. THE CONFLICTS, PLEASE.

13 MR. HARRISON: THE CONFLICTS ARE AZZIZ,
14 GOLDBERG, LEVEY, LEVIN, AND STEWARD.

15 CHAIRMAN KLEIN: COULD YOU REPEAT THOSE,
16 PLEASE?

17 MR. HARRISON: AZZIZ, GOLDBERG, LEVEY,
18 LEVIN, AND STEWARD.

19 DR. LOVE: MR. CHAIRMAN, I'D LIKE TO MOVE
20 THAT WE MOVE GRANT 1480 UP TO TIER I.

21 CHAIRMAN KLEIN: IS THERE A SECOND?

22 DR. PRIETO: SECOND.

23 CHAIRMAN KLEIN: SECOND BY DR. PRIETO.

24 DR. OLSON, COULD YOU SUMMARIZE THE
25 POSITIONS AND POTENTIALLY THE HIGH POINTS ON THE

BARRISTERS' REPORTING SERVICE

1 PETITIONS AS WELL AS STAFF' S RESPONSE.

2 DR. OLSON: SO WHAT THIS APPLICATION
3 PROPOSES TO TREAT ARE THE MOTOR SEQUELAE FOLLOWING
4 SUBCORTICAL STROKE. AND THEY PROPOSE TO DO THIS BY
5 USING AN ALLOGENEIC NEURAL STEM CELL LINE DERIVED
6 FROM HUMAN EMBRYONIC STEM CELLS THAT WILL BE EITHER
7 DELIVERED ALONE OR IN COMBINATION WITH MATRIX
8 MATERIAL INTO THE INFARCTED AREA OF THE BRAIN. AND
9 THEY' VE, OF COURSE, SINCE THIS IS AN ALLOGENEIC
10 THERAPY, WILL USE CONCOMITANT IMMUNOSUPPRESSION.

11 SO IT' S BASED ON THE HYPOTHESIS THAT THE
12 TRANSPLANTED CELLS WILL STIMULATE ENDOGENOUS REPAIR
13 MECHANISMS AND THAT THE SURVIVAL AND DURATION OF THE
14 NEURAL RESTORATIVE ACTIVITY OF THESE CELLS WILL BE
15 ENHANCED THROUGH COMBINATION WITH THE MATRIX
16 MATERIAL. SO THOSE ARE THE HYPOTHESES.

17 THE APPLICANT WILL CONDUCT THE PRECLINICAL
18 EXPERIMENTS EVALUATING GRAPH TARGETING EITHER WITH
19 OR WITHOUT MATRIX MATERIAL, WILL LOOK AT THE OPTIMAL
20 TIMING FOR TRANSPLANTATION, THE DOSE,
21 TUMOROGENICITY, AND FUNCTIONAL RECOVERY IN RODENT
22 MODELS. AND THEY ALSO OUTLINE PLANS FOR GMP
23 MANUFACTURING AND EARLY PRE-PRE-IND MEETING AND
24 OTHER APPROPRIATE IND ENABLING ACTIVITIES.

25 REVIEWERS FELT THE RATIONALE FOR THIS

BARRISTERS' REPORTING SERVICE

1 PROPOSAL, THE SCIENTIFIC RATIONALE WAS SOLID.
2 THERE'S ACTUALLY A LOT OF PUBLISHED EVIDENCE THAT
3 NEURAL STEM CELLS ARE HELPFUL IN NEUROLOGICAL
4 INJURY. THERE ARE PUBLISHED EXAMPLES OF NEURAL STEM
5 CELLS IN STROKE MODELS WHERE A RETURN OF MOTOR
6 FUNCTION IS IDENTIFIED. THERE HAS BEEN SOME DATA ON
7 THE MECHANISMS OF THIS, AND IT IS BELIEVED THERE'S A
8 NUMBER OF MECHANISMS THAT COULD COME IN.

9 THE SIGNIFICANCE OF THIS PROPOSED STRATEGY
10 IS ACTUALLY QUITE HIGH. AS MANY OF YOU MAY KNOW,
11 THE ONLY APPROVED THERAPIES FOR STROKE ARE
12 THROMBOLYTIC AGENTS WHICH MUST BE GIVEN WITHIN THE
13 FIRST FEW HOURS OF THE STROKE, OTHERWISE THEY ARE
14 INEFFECTIVE. SO WHAT THIS THERAPEUTIC STRATEGY
15 WOULD PROPOSE IS BEING ABLE TO GIVE ONE UP TO
16 SEVERAL WEEKS AFTER THE EVENT, AFTER THE INSULT,
17 AFTER THE STROKE. SO IN THAT SENSE, IT WOULD BE --
18 THERE'S NOTHING LIKE THAT THAT'S APPROVED. THERE'S
19 NOTHING LIKE THAT THAT'S AVAILABLE.

20 SO REVIEWERS CONSIDERED THE PRELIMINARY
21 DATA TO BE SUPPORTIVE OF THE MATURITY OF THE
22 PROPOSED CANDIDATE, BUT THEY DID HAVE SOME CONCERNS.
23 THEY NOTED THAT THE APPLICANT PRESENTS EVIDENCE FOR
24 THE PROPOSED -- THAT THE PROPOSED CELL THERAPY
25 IMPROVES MOTOR FUNCTION IN RODENT STROKE MODELS, BUT

BARRISTERS' REPORTING SERVICE

1 THEY WERE REMINDED OF THE FACT THAT THESE MODELS ARE
2 NOT PREDICTIVE OF THE HUMAN STROKE SITUATION.
3 ESSENTIALLY NONE OF THE MODELS HAVE AN
4 ATHEROSCLEROTIC OR ATHEROSCLEROTIC ANIMALS, WHICH IS
5 ACTUALLY USUALLY THE CONDITION WITH THE HUMAN
6 STROKE. THOSE ARE THE MODELS THAT ARE AVAILABLE, SO
7 THAT JUST IS A FACT.

8 THEY WERE CONCERNED THAT THE EFFICACY
9 READOUT, SO THERE ARE A NUMBER OF EFFICACY READOUTS
10 THAT YOU CAN USE FOR THESE MODELS, AT LEAST THE
11 EFFICACY READOUT THAT WAS PRESENTED IN THE DATA WAS
12 CONSIDERED TO BE A MILD ONE. AND THEY WOULD HAVE
13 LIKED TO SEE THE MORE COMPLEX READOUTS THAT ARE
14 PERHAPS MORE STRINGENT EFFICACY MODELS.

15 THEY DID NOT -- THEY NOTED THAT ALTHOUGH
16 THERE WAS PRECLINICAL STUDIES THAT SUGGEST THE
17 STABILITY OF THE CELLS IN THE MODEL, THAT THEY WERE
18 THERE FOR UP TO TWO MONTHS, THEY DIDN'T THINK THERE
19 WAS ENOUGH INFORMATION ON THE PHENOTYPIC FATE. SO
20 REALIZE THIS IS A PROGENITOR, A PRECURSOR
21 POPULATION. WHAT THEY WANTED TO KNOW WAS WHAT DID
22 THOSE CELLS BECOME IN THE BRAIN. AND THAT WAS NOT
23 ADEQUATELY DESCRIBED IN THE APPLICATION AFTER
24 TRANSPLANTATION IN THE ISCHEMIC BRAIN. SO THE FATE
25 AND WHERE THOSE CELLS WENT, THERE WAS NO PRELIMINARY

BARRISTERS' REPORTING SERVICE

1 DATA TO THAT EFFECT THAT WAS PRESENTED.

2 SO AS I SAID, THEY WERE PARTICULARLY
3 INTERESTED IN THE FATE DETERMINATION BECAUSE THERE
4 IS EVIDENCE THAT IN VIVO GLIAL CELLS, WHICH IS ONE
5 OF THE TYPES OF CELLS THAT YOU CAN GET FROM
6 DIFFERENTIATION OF NEURAL PROGENITORS, THAT THOSE
7 ARE ACTUALLY THE CELL TYPE THAT PROVIDES TROPHIC
8 SUPPORT, ONE OF THE PRESUMPTIVE MECHANISMS THAT'S
9 OPERATING HERE. SO THEY WOULD HAVE LIKED TO SEE
10 THAT. THERE WAS NO DATA FOR THE MATRIX MATERIAL
11 WHATSOEVER, OF CELLS IN THE MATRIX MATERIAL, SO THEY
12 WOULD HAVE LIKED TO SEE THAT.

13 SOME REVIEWERS COMMENTED THAT A MORE
14 PHYSIOLOGICALLY RELEVANT ANIMAL MODEL WOULD HAVE
15 BEEN DESIRABLE, AND THAT'S JUST SOMETHING THAT
16 HASN'T BEEN DONE YET. THE APPLICANT DOES MENTION
17 THAT THERE MAY BE A NEED TO DO SUCH STUDIES, BUT
18 THERE'S NO INFORMATION ON HOW TO EXECUTE IT.

19 THEY WERE ALSO CONCERNED ABOUT THE
20 POSSIBILITY OF INFLAMMATION IN A STROKE BRAIN. THE
21 BLOOD BRAIN BARRIER WOULD BE DISRUPTED AND
22 INFLAMMATORY RESPONSE MIGHT EXACERBATE THE
23 SITUATION. SO THEY WERE CONCERNED ABOUT THAT.

24 THEY WERE GENERALLY POSITIVE ABOUT THE
25 DEVELOPMENT PLAN ALTHOUGH THEY DID CONSIDER IT

BARRISTERS' REPORTING SERVICE

1 INCOMPLETELY DEVELOPED IN LIGHT OF THOSE POINTS THAT
2 THEY RAISED. THEY DID, HOWEVER, NOTE THAT THE
3 APPLICANT'S PLANNED A PRE-PRE-IND MEETING TO DISCUSS
4 ESSENTIALLY THEIR PROPOSED DEVELOPMENT STRATEGY.
5 AND SO THESE ARE QUESTIONS THAT THEY COULD RAISE.
6 MILESTONES WERE CLEAR AND WELL ARTICULATED, AND THE
7 REVIEWERS ACTUALLY BELIEVE THAT, PARTICULARLY IF
8 THEY STUCK WITH THE CELL THERAPY AS OPPOSED TO THE
9 CELL IN MATRIX THERAPY, THAT AN IND IN FOUR YEARS
10 WAS ACHIEVABLE.

11 THEY DID BELIEVE THAT IF THEY CHOSE TO GO
12 WITH THE MATRIX MATERIAL, THAT ACTUALLY ADDS A LOT
13 OF COMPLEXITY IN TERMS OF GMP PRODUCTION AND,
14 THEREFORE, IT WAS LESS LIKELY.

15 THE STRENGTH OF THE PRINCIPAL
16 INVESTIGATOR, THE CO-PI, AND THE TEAM WAS
17 UNANIMOUSLY ACKNOWLEDGED. THE PI AND THE CO-PI NOT
18 ONLY HAVE EXTENSIVE EXPERIENCE IN STEM CELL BIOLOGY,
19 BUT THEY HAVE PARTICIPATED IN STROKE CLINICAL
20 TRIALS. THEY HAD A VERY GOOD LEADERSHIP TEAM. THEY
21 COMMENTED ON THE SELECTION OF CONSULTANTS WHO WOULD
22 BE WORKING WITH THEM IN THE GMP PRODUCTION AND
23 REGULATORY STRATEGIES.

24 OVERALL THEY AGREED THIS WAS A CRITICAL
25 UNMET NEED AND THAT THE THERAPY FOR STROKE PATIENTS

BARRISTERS' REPORTING SERVICE

1 AND THAT THE KNOWLEDGE AND EXPERIENCE OF THE
2 INVESTIGATORS WERE HIGHLY TOUTED, BUT THE THING THAT
3 REALLY RESULTED IN THIS SCORING WAS ESSENTIALLY THE
4 BENEFIT AS EXEMPLIFIED BY THE DATA THAT WAS
5 PRESENTED GIVEN THE RISK OF A HUMAN EMBRYONIC STEM
6 CELL-DERIVED THERAPY IN THE BRAIN OF A STROKE
7 PATIENT. SO THAT'S WHERE THEY CAME DOWN ON THAT.

8 NOW, THIS WAS THE SUBJECT OF AN
9 EXTRAORDINARY PETITION. IN THE EXTRAORDINARY
10 PETITION, IT REFERENCES INFORMATION THAT WAS NOT
11 PREVIOUSLY PROVIDED IN THE APPLICATION, INCLUDING
12 FINDINGS OF EFFICACY ATTRIBUTED TO A THIRD GROUP.
13 THIS INFORMATION DOES NOT HAVE THE BENEFIT OF EXPERT
14 REVIEW BY THE GRANTS WORKING GROUP AND ACTUALLY DOES
15 NOT ADDRESS THE REVIEWERS' PRIMARY CONCERN ABOUT THE
16 EFFICACY -- ABOUT THE ESSENTIALLY BENEFIT VERSUS
17 RISK.

18 SO OVERALL WE BELIEVE THAT THE REVIEWERS
19 DID CAREFULLY CONSIDER THE NOTABLE STRENGTHS OF THIS
20 PROPOSAL AND CONCLUDED THAT, DESPITE NOTED MERITS,
21 IT SHOULD NOT BE RECOMMENDED. I MEAN SPECIFIC
22 POINTS THAT ARE NOT -- I MEAN THEY POINT OUT THAT
23 THERE IS NO ATHEROSCLEROTIC STROKE MODEL, AND THAT
24 IS JUST TRUE. THAT SIMPLY INCREASES THE RISK OF THE
25 PREDICTABILITY OF THE MODELS. SO THAT IS A FACT.

BARRISTERS' REPORTING SERVICE

1 THEY POINT OUT THAT ONE OF THE CRITICISMS
2 WAS THE OUTPUT MEASURE. AND THEY HAVE -- IN FACT,
3 THEY HAVE DONE A -- THEIR OUTPUT MEASURE IS MILD.
4 THEY PROPOSE TO DO THE MORE STRINGENT OUTPUT
5 MEASURES IN EFFICACY EXPERIMENTS GOING FORWARD.
6 THAT WAS IN THE APPLICATION.

7 CHAIRMAN KLEIN: OKAY. I THINK THAT'S
8 PROBABLY A GOOD SUMMARY. JEFF SHEEHY.

9 MR. SHEEHY: I WOULD JUST, HAVING SAT IN
10 THE REVIEW, I THOUGHT THAT A MAJOR FACTOR WAS THE
11 LACK OF AN APPROPRIATE ANIMAL MODEL, BUT I WOULD
12 LIKE TO NOTE TWO POINTS ABOUT THAT. NO. 1, THIS IS
13 THE ANIMAL MODEL THAT WOULD BE NECESSARY -- THIS
14 ANIMAL MODEL THEY HAVE PROPOSED IS ADEQUATE FOR
15 OBTAINING AN IND WITH THE FDA. THEY SAID IN THEIR
16 REBUTTAL, AND AS DR. OLSON HAS MENTIONED, THERE IS
17 NO ATHEROSCLEROTIC ANIMAL MODEL.

18 THE OTHER THING THAT THEY HAD SUGGESTED
19 THAT THIS BE TESTED IN NONHUMAN PRIMATES; HOWEVER,
20 BASED ON NATIONAL ACADEMY GUIDELINES AND OUR OWN
21 ETHICAL STANDARDS, WE'RE NOT PERMITTED TO PUT
22 EMBRYONIC STEM CELLS INTO NONHUMAN PRIMATE BRAINS AT
23 THIS TIME. WHAT I THINK THAT THIS PARTICULAR
24 APPLICATION GOES TO, AND I KNOW IT FELL -- CAN I
25 MENTION THE SCORE, WHICH I BELIEVE WAS 65, IT BARELY

BARRISTERS' REPORTING SERVICE

1 MISSED FUNDABILITY.

2 IF WE'RE GOING TO STRETCH OURSELVES, I
3 THINK WHERE WE HAVE THOSE APPLICATIONS THAT ARE
4 UNIQUE TO OUR MISSION, IPS, EMBRYONIC STEM CELLS,
5 WHERE WE CAN MOVE THE FIELD FORWARD, WE CAN'T
6 ACCURATELY PREDICT WHAT THE REGULATORY FRAMEWORK IS
7 FOR PUTTING EMBRYONIC STEM CELLS INTO THE BRAIN.
8 AND BY USING THIS --

9 DR. OLSON: NEURAL STEM CELLS, BUT IT'S
10 THE SAME ISSUE.

11 CHAIRMAN KLEIN: DERIVED.

12 MR. SHEEHY: EXACTLY. SO BY FUNDING THIS,
13 WE'RE MOVING THE FIELD FORWARD WITH THE TYPE OF
14 MILESTONE DRIVEN -- THE WAY IN WHICH THIS PARTICULAR
15 APPLICATION ROUND IS MILESTONE DRIVEN, IF THEY FAIL,
16 THE MONEY WILL COME BACK TO US. BUT FOR ME I THINK
17 THIS IS A PLACE WHERE IT'S CENTRAL TO OUR CORE
18 MISSION TO EXERT OURSELVES TO PUSH AN EMBRYONIC STEM
19 CELL APPLICATION DOWN THE REGULATORY PATHWAY AND SEE
20 HOW FAR WE CAN GET. IT MAY BE A LITTLE MORE HIGH
21 RISK THAN SOME OF THE OTHER APPLICATIONS. IN FACT,
22 THEY SUGGESTED A DIFFERENT SET OF CELLS.
23 MESENCHYMAL STEM CELLS MIGHT HAVE BEEN MORE
24 FAVORABLY RECEIVED; BUT, FRANKLY, WE'RE AN EMBRYONIC
25 STEM CELL FUNDING AGENCY, AND THIS IS OUR CHANCE TO

BARRISTERS' REPORTING SERVICE

1 FULFILL OUR CORE MISSION. SO I WOULD URGE US TO
2 APPROVE THIS.

3 CHAIRMAN KLEIN: LET ME JUST, DR. LOVE,
4 DID YOU HAVE A COMMENT BEFORE I GO TO DR. PRIETO?

5 DR. PRIETO: I WAS ALSO IN THIS REVIEW,
6 AND I'D LIKE TO TALK ABOUT THE ANIMAL MODEL ISSUE
7 ALSO. I THOUGHT ABOUT THIS ONE QUITE A BIT SINCE
8 THE REVIEW BECAUSE I THOUGHT, OKAY, MAYBE THAT'S A
9 VALID DEFICIENCY. BUT WHEN I CONSIDERED IT, I THINK
10 IT'S PROBABLY IMPOSSIBLE FOR THERE TO BE AN
11 ATHEROSCLEROTIC ANIMAL MODEL OF STROKE THAT WOULD BE
12 CONSISTENT AND PREDICTABLE ENOUGH THAT YOU COULD
13 STUDY IT, HAVE A CONSISTENT MOTOR DEFICIT THAT YOU
14 WOULD THEN ADDRESS THE RESPONSIVE TREATMENT TO.
15 THAT'S ONE ISSUE.

16 BUT THE OTHER IS THAT THEY ARE NOT TRYING
17 TO ADDRESS THE ATHEROSCLEROSIS, AND THIS IS NOT A
18 TREATMENT FOR THE ATHEROSCLEROSIS. IT'S A TREATMENT
19 FOR THE NEUROLOGIC SEQUELAE OF THE STROKE. FOR
20 THAT, TYING OFF THE ARTERY IS A PERFECTLY CONSISTENT
21 OR PERFECTLY ADEQUATE MODEL.

22 DR. OLSON: MAY I MAKE IT CLEAR THAT THE
23 ISSUE WAS NOT THAT THERE WASN'T A MODEL OF -- ONE
24 WOULD LIKE THAT. I THINK THE ISSUE WAS THE LACK OF
25 PREDICTABILITY OF THE MODELS IN THE STROKE SETTING,

BARRISTERS' REPORTING SERVICE

1 AND THAT ONE HAD TO CONSIDER THE RISK OF THOSE
2 MODELS AND THE CONTEXT OF THE DATA THAT WAS GIVEN
3 THOSE IN MOVING SOMETHING FORWARD. SO I THINK
4 THAT'S REALLY --

5 DR. PRIETO: I THINK WHAT SOME OF THEM AT
6 LEAST ARE SAYING IS THAT THE MECHANISM OF INJURY IS
7 DIFFERENT. THAT'S CLEARLY TRUE, BUT THAT DOESN'T
8 MEAN THAT THE RESULT OF THE INJURY IS DIFFERENT.
9 AND THEY'RE LOOKING AT THE INJURY, AND CAN YOU THEN
10 TREAT THE SUBSEQUENT INJURY, WHATEVER THE MECHANISM
11 OF INJURY IS.

12 DR. OLSON: THAT'S WHERE YOU GET TO THE
13 OUTPUT MEASURES.

14 MR. SHEEHY: THE OTHER POINT TO THIS IN
15 TERMS OF THE ANIMAL MODEL IS WHAT DOES THE FDA
16 REQUIRE BECAUSE THE END POINT HERE IS AN IND. AND
17 THE FDA -- THE REGULATORY SPECIALISTS INVOLVED IN
18 THIS SAID THAT THIS COULD PROCEED TO AN IND. SO THE
19 ANIMAL MODELS PROVIDED DID NOT PRESENT AN
20 INSUPERABLE BARRIER TO GETTING AN IND OR ELSE THEY
21 WOULDN'T HAVE GIVEN IT --

22 DR. OLSON: I THINK I HAVE TO POINT OUT
23 SOMETHING. THE FDA ALLOWS A LOT OF THINGS TO GO
24 INTO THE CLINIC. IF YOU SHOW ADEQUATE SAFETY AND IF
25 YOU HAVE SOME DEGREE OF EFFICACY DATA, THEY IN MANY

BARRISTERS' REPORTING SERVICE

1 CASES DO NOT SPECIFY. IT'S ACTUALLY NOT A LEGAL
2 REQUIREMENT THAT YOU HAVE EFFICACY DATA. WHAT THEY
3 DO DEMAND IS SAFETY.

4 NOW, YOU CAN LOOK AT THE -- ANYBODY HERE
5 WHO'S BEEN IN INDUSTRY OR BEEN INVOLVED WITH IT
6 KNOWS THERE'S A LOT OF FAILED TRIALS. I GUESS MY
7 POINT IS WHAT I THINK THE REVIEWERS WERE SAYING IS
8 GIVEN WHAT THEY PERCEIVE, AND IT IS YOUR --
9 CERTAINLY YOUR RIGHT AS THE BOARD TO CHOOSE TO TAKE
10 MORE RISK, BUT WHAT THEY PERCEIVED AS A POTENTIAL
11 RISKY THERAPY FOR PATIENTS WHO MAY RESOLVE
12 SPONTANEOUSLY IN THE FEW WEEKS AFTER THE STROKE TO
13 DO AN EMBRYONIC STEM CELL THERAPY. IT'S A RISK
14 BENEFIT ARGUMENT. THEY WOULD HAVE LIKED TO SEE MORE
15 DATA GIVEN THAT. THAT IS WHAT THEY WANTED.

16 DR. TROUNSON: MR. CHAIR, JUST IN THE
17 SENSE OF THE ARGUMENT, THE CELLS THAT HAVE BEEN PUT
18 INTO RODENT BRAINS THAT HAVE BEEN DRIVEN INTO THE
19 GLIAL LINEAGE, I DON'T THINK THERE REALLY IS ANY
20 CASES OF TERATOMA FORMATION. SO IT DEPENDS ON --
21 THAT'S THE ONLY WAY YOU CAN TEST IT OUT, BY PUTTING
22 THE CELLS INTO THE BRAINS OF RODENTS. AND THEY'RE
23 DOING THOSE IN VERY LARGE NUMBERS AT THAT UNIVERSITY
24 AND MANY OTHER UNIVERSITIES. SO I THINK AT SOME
25 POINT IN TIME, WE'LL HAVE TO ACCEPT THAT THE

BARRISTERS' REPORTING SERVICE

1 DIFFERENTIATION OF THESE CELLS IS A RELATIVELY LOW
2 RISK TO FORM A TERATOMA, BUT YOU MIGHT GET SOMETHING
3 YOU DIDN' T EXPECT WHEN YOU WORK IN THE HUMAN. THAT
4 REMAINS AN ISSUE, BUT THAT' S WHY YOU DO PHASE I
5 STUDIES. THAT' S ABOUT RISK. THAT' S ABOUT IS THERE
6 DAMAGE.

7 THESE PATIENTS, THE LARGER EFFECT OF THESE
8 PATIENTS IS A DREADFUL -- IS REALLY A DREADFUL
9 OUTCOME, IF NOT DEATH. AND THE CHOICE HERE FOR THE
10 NEUROSURGEONS IN THIS AREA IS TO DO SOMETHING WITHIN
11 THE FIRST TWO WEEKS OR FIRST THREE WEEKS OR DO
12 NOTHING BECAUSE THERE WOULD PROBABLY NOT BE ANY
13 OPTION IF YOU WENT OUT TO FOUR TO SIX WEEKS BECAUSE
14 I DON' T THINK THERE' S ANY EVIDENCE THAT YOU CAN
15 IMPROVE THE SITUATION FOUR TO SIX WEEKS OR SIX WEEKS
16 OUT FROM A STROKE. YOU HAVE TO TAKE A CHANCE. YOU
17 HAVE TO GIVE THE PATIENT A CHANCE. YES, THERE IS A
18 RISK, BUT THERE' S A CONSIDERABLE RISK IN THESE
19 PATIENTS THAT THEY WILL BE DECIMATED. THEIR LIVES
20 WILL BE DECIMATED ANYWAY, AND HOPEFULLY IN THE TWO
21 WEEKS YOU GET A CHANCE FOR THOSE THAT ARE GOING TO
22 SPONTANEOUSLY RESOLVE TO GIVE SOME INDICATION THAT
23 SOMETHING IS HAPPENING THAT MIGHT BE SAY, WELL, IT' S
24 TOO RISKY TO DO IT.

25 BUT THE BULK OF THE PATIENTS WON' T BE IN

BARRISTERS' REPORTING SERVICE

1 THAT CATEGORY. SO I DO THINK THERE'S AN ISSUE HERE.
2 THIS IS A DISEASE WHERE THERE'S NOT A LOT OF
3 OPTIONS. AND MSC'S I DON'T THINK OFFER THE SAME
4 PROSPECT OF AN OPTION HERE. SO I DO THINK IT NEEDS
5 TO BE TAKEN IN BALANCE. I THINK THE REVIEWERS GOT
6 IT RIGHT. IT'S ABOUT A 65 PROJECT. SO IT'S RIGHT
7 ON THE BORDER FOR YOU. I THINK THIS IS ONE OF THOSE
8 CONDITIONS THAT YOU ARE GOING TO HAVE TO TAKE SOME
9 RISK. IT'S GOING TO BE PRE-IND, PRE, PRE, AND
10 PRE-IND MEETINGS. SOMEBODY MIGHT SAY THAT YOU HAVE
11 TO DO A MONKEY. IF THAT'S THE CASE AND WE CAN'T DO
12 IT, THAT'S A PROBLEM AND WE'LL HAVE TO HAVE A
13 GO/NO-GO DECISION ASSOCIATED WITH IT.

14 BUT I THINK IT'S RIGHT THERE. I THINK
15 PAT'S LABELED IT EXACTLY CORRECTLY. THE REVIEWERS
16 ARE PROBABLY ON THE MARK, BUT HERE'S A CHANCE TO DO
17 SOMETHING WITH A TERRIBLE -- GENERALLY A TERRIBLE
18 OUTCOME, AND IT JUST MIGHT BE EFFECTIVE. AND THERE
19 IS -- THERE'S GENUINELY A SMALL RISK ASSOCIATED WITH
20 IT. HOW ARE YOU GOING TO PERSUADE THE FDA? THAT'S
21 GOING TO TAKE YOU A NUMBER OF MEETINGS IN THIS
22 TIMEFRAME. WE'RE GOING TO BE SITTING THERE SORT OF
23 MAKING SURE THAT THESE GO/NO-GO DECISIONS ARE
24 ENABLING TO DO THE FILING.

25 CHAIRMAN KLEIN: I THINK AN IMPORTANT

BARRISTERS' REPORTING SERVICE

1 POINT WAS MADE HERE THAT, DR. OLSON, AS YOU SAID,
2 THE FDA IS GOING TO INSIST ON SAFETY. AND AS DR.
3 TROUNSON SAID, AND AS THE APPLICANT SAID, IF THE FDA
4 REQUIRES HUMAN PRIMATE AND THE RULES CHANGED TO
5 PERMIT YOU TO DO NONHUMAN PRIMATES, THEY'RE GOING TO
6 DO IT. SO THE SAFETY ISSUE WILL BE IMPOSED BY THE
7 FDA. BUT THIS IS A DISEASE THAT DOESN'T HAVE MANY
8 OPTIONS AND IT HAS TERRIBLE -- THIS IS AN INJURY
9 ACTUALLY THAT HAS TERRIBLE IMPACTS ON THE FUTURE AND
10 SHOULD A PATIENT BE ABLE TO BALANCE THESE RISKS IF
11 THEY'RE TOTALLY INFORMED.

12 SO I THINK WE'VE HAD THE QUESTION
13 DISCUSSED. IS THERE PUBLIC COMMENT ON THIS
14 APPLICATION? SEEING NO PUBLIC COMMENT, I THINK IT'S
15 APPROPRIATE, UNLESS THERE'S OTHER COMMENTS, TO CALL
16 THE QUESTION.

17 MS. KING: ROBERT PRICE.

18 DR. PRICE: YES.

19 MS. KING: FLOYD BLOOM.

20 DR. BLOOM: YES.

21 MS. KING: LEEZA GIBBONS.

22 MS. GIBBONS: YES.

23 MS. KING: BOB KLEIN.

24 CHAIRMAN KLEIN: YES.

25 MS. KING: TED LOVE.

BARRISTERS' REPORTING SERVICE

1 DR. LOVE: YES.
2 MS. KING: ED PENHOET.
3 DR. PENHOET: YES.
4 MS. KING: CLAIRE POMEROY.
5 DR. POMEROY: NO.
6 MS. KING: FRANCISCO PRIETO.
7 DR. PRIETO: YES.
8 MS. KING: ROBERT QUINT.
9 DR. QUINT: YES.
10 MS. KING: DUANE ROTH.
11 MR. ROTH: NO.
12 MS. KING: JOAN SAMUELSON.
13 MS. SAMUELSON: YES.
14 MS. KING: DAVID SERRANO-SEWELL.
15 MR. SERRANO-SEWELL: YES.
16 MS. KING: JEFF SHEEHY.
17 MR. SHEEHY: YES.
18 MS. KING: JON SHESTACK.
19 MR. SHESTACK: YES.
20 MS. KING: ART TORRES.
21 MR. TORRES: AYE.
22 CHAIRMAN KLEIN: ALL RIGHT. THAT VOTE, I
23 TAKE IT, PREVAILED?
24 MR. HARRISON: YES. THE MOTION CARRIES.
25 CHAIRMAN KLEIN: THANK YOU. THE NEXT ITEM

BARRISTERS' REPORTING SERVICE

1 IS 1422. WHOM ARE THE CONFLICTS -- WHO ARE THE
2 CONFLICTS?

3 MR. HARRISON: LEVIN AND STEWARD.

4 CHAIRMAN KLEIN: DOES ANYONE WANT TO MAKE
5 A MOTION TO MOVE THIS UP? I DON'T SEE ANY MOTION.
6 MOVING ON TO 1459, DOES ANYONE WANT TO MAKE A MOTION
7 TO MOVE THIS UP? I DO NOT SEE A MOTION.

8 LET ME SUGGEST THIS. WE LOST SEVERAL OF
9 OUR MEMBERS AT THIS POINT AND IT'S 9:45. I THINK
10 WE'VE GONE FAR ENOUGH THAT WE CAN COMPLETE THIS
11 TOMORROW. APPRECIATE EVERYONE'S ATTENDANCE, AND I
12 LOOK FORWARD TO ADDITIONAL LIVELY DISCUSSION.

13 BUT I WOULD LIKE TO SAY THE STAFF HAS PUT
14 A HUGE AMOUNT OF EFFORT INTO THIS. AND EACH OF US
15 COMES FROM A DIFFERENT BACKGROUND. EACH OF US
16 BRINGS DIFFERENT EXPERIENCES WITH US TO THE TABLE.
17 BUT IT IS WITH IMMENSE APPRECIATION AND RESPECT FOR
18 THE EFFORT THE STAFF HAS PUT INTO THIS PROCESS. AND
19 SOMETIMES THE POSITIONS WILL BE THE SAME AS
20 INDIVIDUAL BOARD MEMBERS OR DIFFERENT, BUT I THINK
21 WE SHOULD ALL GIVE A GREAT HAND OF APPLAUSE TO THE
22 STAFF BECAUSE WE KNOW THEY PUT A HUGE OF AMOUNT
23 EFFORT.

24 (APPLAUSE.)

25 CHAIRMAN KLEIN: SO LET ME ASK THIS

BARRISTERS' REPORTING SERVICE

1 QUESTION. IT'S BEEN BROUGHT TO MY ATTENTION THAT
2 THERE'S PUBLIC MEMBERS TO MAKE COMMENTS ON 1421,
3 1491, AND 1478. I WOULD SUGGEST IT WOULD BE MUCH
4 BETTER TO MAKE THOSE TOMORROW; BUT IF IT'S
5 IMPOSSIBLE FOR THOSE PEOPLE TO MAKE THOSE COMMENTS
6 TOMORROW, I WOULD LIKE TO KNOW THAT. OKAY. THANK
7 YOU VERY MUCH. THANK YOU FOR BRINGING THAT TO MY
8 ATTENTION.

9 WE HAVE AN EARLY MORNING TOMORROW. WE'VE
10 GOT A LOT TO MOVE THROUGH. AND I BELIEVE WE'RE
11 RECONVENING AT 8:30. 8:30. AND THERE'S BREAKFAST
12 FOR THE BOARD MEMBERS NEXT DOOR.

13 MS. PRYNE: AT 8 O'CLOCK NEXT DOOR.

14 CHAIRMAN KLEIN: BOARD MEMBERS ARE INVITED
15 TO ANOTHER TWO OR THREE HOURS OF READING OF
16 DOCUMENTS TONIGHT. WE ADJOURN.

17 (THE MEETING WAS THEN RECESSED AT
18 09:47 P.M. TO RECONVENE 8:30 A.M., OCTOBER 28,
19 2009.)

20
21
22
23
24
25

BARRISTERS' REPORTING SERVICE

REPORTER' S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN' S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

LUXE HOTEL
11461 SUNSET BOULEVARD
LOS ANGELES, CALIFORNIA
ON
OCTOBER 27, 2009

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.



BETH C. DRAIN, CSR 7152
BARRISTER' S REPORTING SERVICE
1072 BRISTOL STREET
SUITE 100
COSTA MESA, CALIFORNIA
(714) 444-4100