

BEFORE THE
SCIENTIFIC AND MEDICAL ACCOUNTABILITY
STANDARDS WORKING GROUP
TO THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: WESTIN SAN FRANCISCO MARKET STREET
50 THIRD STREET
SAN FRANCISCO, CALIFORNIA

DATE: SEPTEMBER 17, 2009
5 P.M.

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO.: 85050

BARRISTERS' REPORTING SERVICE

I N D E X

ITEM	DESCRIPTION	PAGE NO.
CALL TO ORDER		3

THURSDAY, SEPTEMBER 17

1. PRESENTATION AND DISCUSSION OF STEM CELL POLICY DEVELOPMENTS

SCIENTIFIC DEVELOPMENTS RELATING TO IPS AND GAMETE DEVELOPMENT	4
FDA REGULATION OF STEM CELL-BASED PRODUCTS	27
NY STATE STEM CELL SCIENCE POLICY ON DONOR COMPENSATION (ROBERT KLITZMAN, M.D.)	38

FRIDAY, SEPTEMBER 18

1. WELCOME FROM CO-CHAIRS
2. CALL TO ORDER & ROLL CALL
3. STAFF REPORT
4. CONSIDERATION OF CIRM MES REGULATIONS

BARRISTERS' REPORTING SERVICE

BARRISTERS' REPORTING SERVICE

1 SAN FRANCISCO, CALIFORNIA; THURSDAY, SEPTEMBER 17, 2009

2 5 P.M.

3

4 MS. LANSING: CAN I ASK EVERYBODY TO COME TO
5 THEIR SEAT SO WE CAN START ON TIME? SO MY NAME IS
6 SHERRY LANSING, AND I WANT TO WELCOME YOU ALL HERE AND
7 THANK THE MEMBERS OF THE COMMITTEE FOR THEIR UNDYING
8 COMMITMENT AND FOR THEIR TIME, WHICH WE SO VALUE. AND
9 JUST TELL YOU A LITTLE BIT ABOUT TONIGHT, WHICH IS
10 TONIGHT IS REALLY MOSTLY INFORMATIONAL TO BRING YOU UP
11 TO DATE WITH WHAT IS GOING ON.

12 AND SO I WOULD LIKE US REALLY KIND OF TO
13 LISTEN TO THE REPORTS, ASK QUESTIONS IF YOU NEED TO,
14 BUT MOSTLY IT'S JUST TO ABSORB THE INFORMATION AND
15 BRING US ALL UP TO DATE. AND WITH THAT, I'LL TURN IT
16 OVER TO BERNIE.

17 CHAIRMAN LO: THANKS VERY MUCH. AND, AGAIN,
18 I WANT TO WELCOME EVERYONE, AND WE EVEN ARRANGED FOR
19 OPTIMAL SAN FRANCISCO WEATHER, WHICH YOU DON'T OFTEN
20 GET AT THIS TIME OF YEAR.

21 I WANT TO PICK UP ON WHAT SHERRY SAID, THAT
22 THIS AFTERNOON OR THIS EARLY EVENING WHAT WE REALLY
23 HAVE IS AN INFORMATIONAL SESSION, THAT I THINK WE'VE
24 MADE A COMMITMENT TO REALLY GROUND OUR DELIBERATIONS ON
25 THE GOOD KNOWLEDGE OF THE SCIENCE OF STEM CELL BIOLOGY,

BARRISTERS' REPORTING SERVICE

1 POLICY DEVELOPMENTS IN OTHER ARENAS, WHAT THE VARIOUS
2 GOVERNMENT AGENCIES ARE DOING. AND SO THIS AFTERNOON
3 WHAT WE'RE GOING TO DO IS TRY AND BRING OURSELVES UP TO
4 DATE.

5 NOW, THERE WILL BE INFORMATION WE HEAR THAT
6 WE WON'T BE ABLE TO ACT ON. IT'S EITHER OUT OF OUR
7 CONTROL SORT OF WHAT THE FDA DOES TO SOME EXTENT, AND
8 WE'LL HEAR THAT OTHER STATES, SUCH AS NEW YORK IN
9 PARTICULAR, HAVE DEVELOPED STEM CELL POLICIES THAT BY
10 STATUTE, BY THE WILL OF THE PEOPLE IN CALIFORNIA, WE
11 CAN'T FOLLOW. BUT I THINK IT'S STILL IMPORTANT FOR US
12 TO KNOW ABOUT WHAT'S GOING ON AND HOW THEY ARRIVED AT
13 THE POLICIES THEY DID.

14 SO WE'RE GOING TO START WITH THE SCIENCE.
15 ONE OF THE WONDERFUL THINGS ABOUT THIS AREA IS THAT THE
16 SCIENCE IS PROGRESSING RAPIDLY, DRAMATICALLY. AND I'VE
17 ASKED ALAN TROUNSON, WHO'S VERY GRACIOUSLY SORT OF PUT
18 TOGETHER SORT OF A QUICK PRIMER FOR US ON SOME
19 IMPORTANT DEVELOPMENTS IN GAMETE BIOLOGY. ALAN.
20 THANKS VERY MUCH.

21 DR. TROUNSON: THANK YOU VERY MUCH, BERNIE.
22 AND IT'S GOOD TO BE HERE. GOOD TO SEE YOU, SHERRY, OUT
23 OF ALL OF YOUR MEETINGS TO GET YOU HERE AND OTHER
24 MEMBERS OF THE BOARD AND PARTICULARLY TO ALTA CHARO
25 BECAUSE NOW SHE'S GOT ANOTHER POSITION WITH THE FDA.

BARRISTERS' REPORTING SERVICE

1 CONGRATULATIONS, ALTA. THAT'S WONDERFUL. SO I THINK
2 THAT'S A VERY SPECIAL RECOGNITION OF YOUR CONTRIBUTIONS
3 IN THE AREA.

4 MS. CHARO: JUST TO BE VERY CLEAR THOUGH, I'M
5 NOT HERE AS A REPRESENTATIVE OF THE FDA TONIGHT.

6 DR. TROUNSON: CLEARLY UNDERSTOOD. SO WHAT I
7 WANTED TO DO AND WHAT I WAS ASKED TO DO IS REALLY
8 ADDRESS THE ISSUES OF PLURIPOTENTIAL STEM CELL-DERIVED
9 GAMETES. AND I HESITATE TO DO THIS WITH JOSE CIBELLI
10 SITTING ON THERE BECAUSE HE'LL BE ABLE TO CRITIQUE THIS
11 QUITE WELL.

12 BUT I THOUGHT THAT ONE OF THE INTERESTING
13 PAPERS WHICH I SPENT SOME TIME IN USING ON THIS WAS
14 REALLY A PAPER THAT CAME OUT IN *CELL STEM CELL* JUST
15 RECENTLY. AND IT'S QUITE AN EASY READ REALLY, BUT IT'S
16 CALLED "PLURIPOTENTIAL STEM CELL-DERIVED GAMETES:
17 TRUTH AND POTENTIAL CONSEQUENCES," PUBLISHED BY THE
18 AUTHORS MATTHEWS AND DONOVAN. PETER DONOVAN IS
19 WELL-KNOWN TO US AS HEAD OF THE STEM CELL RESEARCH
20 GROUP AT UNIVERSITY OF CALIFORNIA AT IRVINE.

21 IT IS REALLY PRETTY CLEAR THAT YOU CAN
22 ACHIEVE THE VERY EARLY STEPS OF GAMETE DEVELOPMENT FROM
23 WHAT WE CALL PGC'S. THESE ARE THE PLURIPOTENTIAL GERM
24 CELLS, AND YOU CAN ACHIEVE THE LATEST STEPS OF
25 MATURATION OF GAMETES PRODUCED IN VIVO, PARTICULARLY

BARRISTERS' REPORTING SERVICE

1 EGGS. YOU CAN DO THOSE THINGS IN THE SENSE THAT YOU
2 MATURE EGGS FROM AN IMMATURE STATE, AND YOU CAN ALSO
3 INITIATE THE DEVELOPMENT OF THE FIRST FEW STEPS OF
4 DEVELOPMENT. REALLY, IT'S BEEN REPEATED AND IT'S BEEN
5 SHOWN BY MANY PEOPLE. THE EFFECTIVENESS VARIES, BUT IT
6 IS JUST PART OF THE ARMAMENT IN THE AREA.

7 IT'S THE INTERVENING STEPS THAT ARE DIFFICULT
8 AND REPEATEDLY ACHIEVED, AND IT INVOLVES, IMPORTANTLY,
9 THE ERASURE OF WHAT THEY CALL GENETIC IMPRINTING WHEN
10 WE FORM GAMETES OR SPERM OR EGGS. THEY GET IMPRINTED
11 TO BE MALE AND FEMALE. THEY'RE PRINTED IN A VERY
12 SPECIAL WAY SO THAT WHEN THEY COME BACK TOGETHER AGAIN,
13 THAT IMPRINTING ENABLES DEVELOPMENT TO OCCUR IN A VERY
14 RATIONAL STEPWISE WAY. AND IT ALLOWS FOR PARTICULARLY
15 THE DEVELOPMENT OF PLACENTA AND EMBRYONIC TISSUES.

16 SO THE ORGANIZATION IN REPRODUCTION IS SET UP
17 WITH THE IMPORTANCE OF BEING ABLE TO ERASE WHAT WE CALL
18 GENOME IMPRINTING AND SET IN SOME VERY SPECIFIC CELL
19 CYCLE CONTROLS FOR ENTERING INTO MITOTIC ARREST. ANN
20 KIESSLING KNOWS LOTS ABOUT THIS PARTICULAR SUBJECT. SO
21 CLEARLY SHE'LL BE ABLE TO INFORM US ALSO A LOT ABOUT
22 THIS.

23 AND THE REDUCTION DIVISION WHICH IS REQUIRED
24 FROM TAKING A DIPLOID CELL, A $2N$ CELL, WHICH HAS BOTH
25 COPIES OF CHROMATIN FROM THE MALE AND THE FEMALE

BARRISTERS' REPORTING SERVICE

1 RESIDENT IN THE EMBRYO AND RESIDENT IN ALL THE CELLS OF
2 OUR BODY, YOU'VE GOT TO GET A REDUCTION DIVISION BACK
3 TO A HAPLOID STATE OR A 1N STATE, SO THAT WHEN YOU
4 RECOMBINE SPERM AND EGGS, YOU END UP WITH A DIPLOID
5 STATE, THE 2N STATE AGAIN. THESE STEPS ARE REALLY
6 QUITE COMPLEX AND NOT EASILY ACHIEVED, NOT SIMPLY
7 ACHIEVED. WHILE IT'S NOT IMPOSSIBLE THAT THIS CAN BE
8 WORKED OUT IN THE LONG TERM, IT'S CLEAR THERE ARE
9 PROBLEMS IN GETTING THIS DONE EFFECTIVELY AT THE
10 MOMENT.

11 THERE IS ONE GROUP THAT'S REPORTED
12 PLURIPOTENTIAL STEM CELL-DERIVED SPERM IN LIVE BORN
13 MICE. SO THEY'VE TAKEN EMBRYONIC STEM CELLS AND
14 THEY'VE TURNED THEM IN, THROUGH THE GERM CELL, THEY'VE
15 TURNED THEM INTO THE GAMETE, THE SPERM GAMETE, AND
16 THEY'VE USED THOSE SPERM TO RECONSTITUTE AN EMBRYO BY
17 COMBINING IT WITH AN EGG AND THEN PRODUCING A BABY
18 MOUSE. ALL THOSE MICE DIED SOON AFTER BIRTH. THIS
19 WORK WAS PUBLISHED IN 2006. IT'S A GERMAN GROUP, AND
20 AT THIS TIME I THINK NAYERNIA WAS WORKING IN GERMANY.
21 HE NOW WORKS IN ENGLAND.

22 THE PLURIPOTENTIAL STEM CELL-DERIVED HUMAN
23 GAMETES ARE LIKELY TO BE DEVELOPED, BUT NOT FOR A
24 DECADE AT LEAST. THIS IS THE -- THIS WAS THE VIEW OF
25 THE SCIENTISTS THAT IS PART OF THIS REPORT. AND

BARRISTERS' REPORTING SERVICE

1 CLINICAL APPLICATIONS WILL HAPPEN SOME YEARS LATER. SO
2 CLEARLY YOU HAVE TO SHOW THAT YOU CAN PRODUCE EGGS AND
3 SPERM, AND THEN LATER ON YOU WILL, IF YOU CAN SHOW ON
4 TESTS A DEGREE OF NORMALITY, THEN PERHAPS ALLOW YOU
5 INTO THE CLINICAL TRIALS.

6 THE ISSUES OF QUALITY OF THE GAMETES WILL BE
7 CRITICAL FOR THE FUTURE AS THESE FORM THE GENOMIC BASIS
8 FOR DEVELOPMENT. SO IF IT'S NOT NORMAL, CLEARLY THAT
9 WILL BE INHERITED AND THAT WILL FORM THE DEVELOPMENTAL
10 EMBRYO. THE EMBRYO, IF IT'S NOT NORMAL, WILL HAVE
11 ESSENTIALLY A CHROMOSOMAL OR GENETIC ABNORMALITY, AND
12 THAT WOULD NOT BE ACCEPTABLE. SO YOU REALLY HAVE TO
13 BEGIN WITH NORMAL GAMETES OR AS NORMAL AS YOU CAN
14 DEMONSTRATE.

15 MS. CHARO: ALAN, CAN YOU STOP JUST FOR A
16 CLARIFICATION, PLEASE? I UNDERSTAND THE 2006 PAPER,
17 THEY START WITH AN EMBRYONIC STEM CELL AND THEN
18 DIFFERENTIATE FORWARD. BUT FOR THE MATTHEWS-DONOVAN
19 PAPER, I'M NOT FAMILIAR WITH PGC AND I'M NOT SURE I
20 UNDERSTAND THE SEQUENCE OF CELLULAR STAGES THAT IT'S
21 GOING THROUGH FOR THE FIRST PAPER.

22 DR. TROUNSON: WELL, I THINK WHAT YOU DO IS
23 YOU START WITH AN EMBRYONIC STEM CELL. ALL THESE EARLY
24 STUDIES WERE MOSTLY DONE IN MICE. SO YOU BEGIN WITH AN
25 EMBRYONIC STEM CELL. NOW, THOSE CELLS ARE ARGUABLY

BARRISTERS' REPORTING SERVICE

1 PRESENT IN THE EMBRYO, BUT PROBABLY NOT DEFINED. SO
2 THESE ARE UNDIFFERENTIATED CELLS. AS THE EMBRYO
3 DEVELOPS IN THE VERY EARLY STAGES, THESE PARTICULAR
4 CELLS REMAIN UNDIFFERENTIATED AND THEY BECOME
5 PRIMORDIAL GERM CELLS.

6 MS. CHARO: I SEE. SO THEY'RE SORT OF
7 DEVELOPING IN PARALLEL WITH WHAT WE WOULD CALL
8 EMBRYONIC STEM CELLS.

9 DR. TROUNSON: YES. SO YOU CAN TAKE THESE
10 CELLS OUT OF THE VERY EARLY TESTES, AND YOU CAN SHOW
11 THAT THOSE OR THE VERY EARLY OVARY, IF YOU LIKE, BUT
12 YOU CAN SHOW THAT THOSE CELLS HAVE PLURIPOTENTIAL
13 CAPACITY. SO THIS IS WHAT JOHN GERHARDT SHOWED. SO
14 THESE ARE THESE CELLS ON THAT DIRECTION.

15 MS. CHARO: APPRECIATE IT.

16 DR. TROUNSON: AND IT'S AFTER THAT THEY
17 BECOME MORE AND MORE SPECIALIZED, AND, OF COURSE, THEY
18 HAVE TO MAKE A CHOICE AT SOME POINT IN TIME TO BECOME
19 EGGS OR SPERM. AND IT'S DOMINATED REALLY BY THE
20 ENDOCRINE ENVIRONMENT, WHICH DRAWS THE CELLS TOWARDS
21 THE MALE, OR A NONENDOCRINE ENVIRONMENT THAT ALLOWS IN
22 THE FEMALE FOR THE CELLS TO MOVE OFF TOWARDS THE EGG.
23 THAT'S AN INCREDIBLY SIMPLE WAY OF DESCRIBING IT.

24 SO LET ME JUST TAKE YOU THROUGH HERE ABOUT
25 WHAT IT IS ABOUT THE STRATEGIES THAT MIGHT INTEREST

BARRISTERS' REPORTING SERVICE

1 PEOPLE IN THE AREA. AND YOU TAKE THE PATIENT'S OWN
2 CELLS. SO HERE WE HAVE A PATIENT WHO HAS PRIMARY
3 INFERTILITY OR STERILITY. WHAT WOULD THEY BE REALLY
4 INTERESTED IN? WELL, A POSSIBILITY IS THAT YOU COULD
5 TAKE THOSE PATIENT'S OWN CELLS AND USING TECHNIQUES
6 THAT WERE PIONEERED BY NUCLEAR TRANSFER, IAN WILMOTT
7 AND COLLEAGUES AND JOSE CIBELLI AND MANY OTHER PEOPLE
8 USE NUCLEAR TRANSFER TO PRODUCE A NUCLEAR TRANSFER
9 BLASTOCYST AND, HENCE, A PATIENT-SPECIFIC EMBRYONIC
10 STEM CELL. RIGHT. YOU COULD DO THAT. THAT'S A
11 NUCLEAR TRANSFER PROCEDURE. NOT ACHIEVED YET IN THE
12 HUMAN, BUT IT'S DONE IN OTHER ANIMALS, IN PARTICULARLY
13 MICE AND IN MONKEYS.

14 OR YOU CAN TAKE THE PATIENT'S OWN CELLS AND
15 MAKE THOSE PATIENT-SPECIFIC PLURIPOTENTIAL STEM CELLS
16 BY AN IPS PROCEDURE. YOU COULD CONVERT THOSE CELLS,
17 PATIENT'S OWN CELLS, INTO PLURIPOTENTIAL STEM CELLS
18 USING THE TRANSCRIPTION FACTORS, INSERTIONAL
19 PROCEDURES, A TRANSDUCTION PROCEDURE TO CONVERT THEM
20 BACK TO THE EQUIVALENT OF IPS CELLS. AND THEN WHAT YOU
21 DO IS YOU USE A DIRECTED DIFFERENTIATION TO PRODUCE AN
22 EGG, A PUTATIVE EGG SHOWN THERE ON THE BOTTOM. THIS
23 WAS WORK THAT WAS DONE IN MY LAB SOME YEARS AGO. YOU
24 CAN SHOW THAT YOU CAN GET A STRUCTURE THAT HAD SOME OF
25 THE CHARACTERISTICS OF EGGS. YOU COULD DO THAT THROUGH

BARRISTERS' REPORTING SERVICE

1 A DIRECTED DIFFERENTIATION THAT WOULD TAKE YOU THROUGH
2 TOWARDS THE EGG. THESE EGGS DIDN'T REALLY HAVE ANY
3 KIND OF DEVELOPMENTAL POTENTIAL, BUT THEY LOOK LIKE
4 EGGS AND THEY SHOWED UP SOME OF THE MARKERS OF EGGS.
5 OR YOU COULD GO OFF AND YOU COULD PRODUCE SPERM RATHER
6 THAN EGGS IN ANOTHER DIRECTED DIFFERENTIATION
7 PROCEDURE.

8 WHAT YOU WOULD WANT TO DO WITH THESE CELLS
9 SOMEWHERE BEFORE THEY WERE EGGS OR SPERM WOULD BE TO
10 TRANSPLANT THE PRIMORDIAL GERM CELLS, SO A PROGENITOR
11 IN THAT PATHWAY, BACK INTO THE PATIENT, INTO THE OVARY.
12 IF THE PATIENT HAD NO EGGS, YOU WOULD INJECT IT BACK
13 AND YOU COULD REFRESH THE OVARY FOR THE POTENTIAL TO
14 PRODUCE EGGS. THAT WOULD BE POSSIBLE. OR IN THE CASE
15 OF A MALE, IF YOU TOOK ALONG THAT TRACK, YOU WOULD
16 INJECT THE CELLS INTO THE REALLY TESTES OR SOMEPLACE IN
17 THE TESTES AND THEY WOULD GO ON AND FORM SPERM. THEN
18 THOSE PATIENTS MAY BE ABLE TO RECOVER THEIR FERTILITY.
19 SO THAT WOULD BE THE LONG-TERM CLINICAL INTEREST, BUT
20 THIS IS MILES AWAY. BUT I'M TRYING TO GIVE YOU A
21 FORMAT FOR WHY THERE WOULD BE INTEREST IN ANY KIND OF
22 CLINICAL APPLICATION.

23 DR. TAYLOR: ALAN, JUST A LITTLE COMMENT. SO
24 ONE THING THAT, AT LEAST THEORETICALLY, IS KIND OF
25 INTERESTING IS BECAUSE THE EGG SEEMS TO BE VERY

BARRISTERS' REPORTING SERVICE

1 IMPORTANT IN DIRECTING THE DEVELOPMENT OF THE OVARY,
2 THE OVARIAN FUNCTION MORE BROADLY DESCRIBED, I COULD
3 IMAGINE A SITUATION WHERE YOU DON'T HAVE PERFECT EGGS,
4 BUT THEY MIGHT BE ENOUGH TO INDUCE HORMONE PRODUCTION
5 IN WOMEN WHO HAVE OVARIAN FAILURE FROM A PURELY
6 ENDOCRINE POINT OF VIEW. SO THAT THIS TYPE OF A
7 STRATEGY, EVEN IF YOU WEREN'T TRYING TO ACHIEVE
8 FERTILITY, YOU MIGHT BE ABLE TO ACTUALLY ACHIEVE --
9 REVERSE MENOPAUSAL CHANGES OR PREMATURE OVARIAN FAILURE
10 OR SOMETHING LIKE THAT. JUST KIND OF ANOTHER ANGLE.

11 DR. TROUNSON: THAT'S EXACTLY RIGHT. YES.
12 SO IN MENOPAUSE WOMEN LOSE THOSE STEROID HORMONES,
13 ESTROGEN AND PROGESTERONE, AND THAT MAY BE ONE WAY OF
14 BRINGING IT BACK. SO IF A YOUNG WOMAN HAD GONE THROUGH
15 PREMATURE MENOPAUSE, IT MIGHT BE ONE WAY OF BRINGING IT
16 BACK, ALTHOUGH YOU CAN ADMINISTER STEROIDS.

17 SO THIS HAS ALSO BEEN DONE IN THE HUMAN,
18 ALTHOUGH THIS PARTICULAR WORK HAS BEEN WITHDRAWN FROM
19 PUBLICATION, NOT BECAUSE THEY DIDN'T ACHIEVE THE END
20 POINTS THEY WERE LOOKING FOR, BUT THERE WERE SOME OTHER
21 PROBLEMS WITH THE PAPER. SO THIS PAPER IS NOT
22 PUBLISHED. I JUST WANTED TO TELL YOU THAT IN THE HUMAN
23 YOU COULD DEVELOP IN VITRO STRATEGIES FOR ESTABLISHING
24 MALE GERM CELLS FROM HUMAN EMBRYONIC STEM CELLS. AND
25 THERE ARE PEOPLE CLEARLY INTERESTED IN DOING THESE.

BARRISTERS' REPORTING SERVICE

1 THESE IN VITRO COULD EXPRESS MARKERS WHICH ARE SPECIFIC
2 FOR THE STAGES OF INTEREST, INCLUDING ALL OF THE STAGES
3 IN THE PATHWAY TO PRODUCE SPERM OR, INDEED, EGGS. IT
4 WOULD APPEAR TO ME TO BE EASIER TO DO IT WITH SPERM
5 BECAUSE EGG IS A MUCH MORE COMPLICATED CELL.

6 THESE IN VITRO DIVIDED GERM CELLS SHOULD BE
7 ABLE TO ENTER MEIOSIS AND GENERATE HAPLOID MOTILE
8 SPERMLIKE CELLS, AND THIS WOULD BE AN AIM OF THE WORK.
9 AND THIS IN VITRO MODELING OF GAMETOGENESIS WOULD
10 ENABLE A WHOLE LOT OF NEW WAYS OF STUDYING BIOLOGY OF
11 HUMAN GERM CELLS AND THE POTENTIAL FOR THERAPEUTICS FOR
12 REPRODUCTIVE MEDICINE THAT WOULD BE ALONG THE LINE THAT
13 I'VE JUST TALKED TO YOU ABOUT.

14 AND THESE ARE SOME PICTURES FROM THE
15 WITHDRAWN PAPER, SO YOU CAN SEE ACTUALLY AT THE TOP
16 THERE THAT THERE IS SOME KIND OF STRUCTURE THAT LOOKS
17 LIKE SPERM, BUT THEY'RE NOT PARTICULARLY ATTRACTIVE
18 LOOKING SPERM FROM A MORPHOLOGIST POINT OF VIEW, BUT
19 THAT DOESN'T NECESSARILY MEAN THAT THEY'RE NOT
20 FUNCTIONAL. AND THERE'S, OF COURSE, NOT ANY REALLY
21 GOOD WAYS OF TESTING WHETHER THESE ARE FUNCTIONAL IN
22 THE HUMAN BECAUSE DOING THE EXPERIMENT TO FORM EMBRYOS
23 IS, AGAIN, CHALLENGING.

24 BUT IN THE BOTTOM PART, THOSE SINGLE DOTS
25 WOULD INDICATE THAT YOU MIGHT BE ABLE TO GET THE CELLS

BARRISTERS' REPORTING SERVICE

1 TO COME DOWN TO BE HAPLOID; THAT IS, ONLY CONTAIN ONE
2 SET OF CHROMOSOMES. AND IF YOU'RE ABLE TO ACHIEVE
3 THAT, THAT WOULD BE ALSO A GOOD STEP. THIS KIND OF
4 WORK WILL BE GOING ON IN SEVERAL LABS THROUGHOUT THE
5 WORLD. I DON'T THINK THERE'S ANYTHING QUITE LIKE THIS
6 GOING ON IN CALIFORNIA, BUT THERE COULD BE. I KNOW
7 THAT THERE ARE PEOPLE INTERESTED IN SOME OF THESE
8 PATHWAYS IN MATURING EGGS FROM PRIMORDIAL STAGE, BUT
9 NOT NECESSARILY GOING ALL THE WAY FROM EMBRYONIC STEM
10 CELLS.

11 SO WHAT ARE THE POLICY ISSUES THAT MIGHT
12 CONFRONT THE SUBCOMMITTEE? WELL, THE USE OF
13 PLURIPOTENTIAL STEM CELL-DERIVED CELLS, WE JUST CALL IT
14 IN THIS CASE PSCD, SPERM OR EGGS IN RESEARCH. YOU HAVE
15 A CHOICE ABOUT PROHIBIT, RESTRICT, PERMIT, FUND. ALL
16 I'VE DONE IN THE ORANGE HERE IS SAY WHERE WE PROBABLY
17 ARE IN CALIFORNIA IN THAT SPACE. WE WOULD PROBABLY BE
18 INTERESTED IN SEEING WHETHER THESE CELLS COULD GO
19 THROUGH THEIR PATHWAY. WHETHER WE EVER PERMIT IT OR
20 FUND IT, THAT'S NOT WHAT I'M TRYING TO SUGGEST TO YOU.
21 THAT'S WHERE I THINK WE'RE MORE LIKELY TO HAVE BEEN
22 SITTING WITH OUR CURRENT STANDARDS.

23 THE CREATION OF EMBRYOS FROM THESE GAMETES,
24 PROHIBITED IN SOME PLACES, MIGHT BE A POLICY OPTION,
25 RESEARCH ONLY. I THINK THAT'S MORE LIKE THE SITUATION

BARRISTERS' REPORTING SERVICE

1 IN CALIFORNIA. YOU WOULD BE ABLE TO DO THIS ONLY AS A
2 RESEARCH OBJECTIVE WITH THE RESEARCH AND REPRODUCTION
3 PROBABLY PROHIBITED.

4 NOW, THE THIRD SITUATION IS WHERE THESE CELLS
5 WERE USED FOR REPRODUCTION. AND THAT WOULD BE, I'M
6 SURE, RESTRICTED IN CALIFORNIA, AS IT WOULD BE IN MOST
7 PLACES.

8 THE OPTIONS HERE FOR POLICY, THAT THEY MIGHT
9 BE CONSIDERED EQUIVALENT TO IVF, THEY MIGHT BE ABLE TO
10 BE CONSIDERED FOR SAME SEX COUPLES, WHICH IS AN
11 INTERESTING APPLICATION, OF COURSE, IF YOU HAVE IPS
12 CELLS. POSTMENOPAUSAL WOMEN, AND THAT MAY BE BECAUSE
13 OF AGE, BUT IT MAY BE BECAUSE OF PREMATURE MENOPAUSE.
14 SOME WOMEN GO THROUGH MENOPAUSE AT THE AGE OF 20, FOR
15 EXAMPLE. WHILE IT'S NOT COMMON, IT DOES CERTAINLY
16 HAPPEN.

17 AND THE POLICY MAY HAVE TO IDENTIFY WHETHER
18 THERE'S ISSUES OF INFORMED CONSENT, FOR EXAMPLE,
19 WHETHER IT WAS APPLIED TO MINORS. ALL OF THOSE POLICY
20 ISSUES ARE SOMETHING THAT MIGHT NEED TO BE CONSIDERED
21 IN DUE COURSE WITH ALL OF THOSE PARTICULAR OPTIONS.

22 THE CONTROVERSIES HERE, AND I JUST POINT IT
23 OUT JUST SO THAT YOU ARE AWARE, IS THAT IN SAME SEX
24 COUPLES WHERE YOU COULD PRODUCE BOTH SPERM AND EGGS,
25 RIGHT, IPS CELLS ENABLES YOU TO DO THAT IN THEORY, ONLY

BARRISTERS' REPORTING SERVICE

1 IN THEORY. BUT FROM THE WORK THAT'S CURRENTLY GOING
2 ON, IT WOULD BE DIFFICULT TO DERIVE SPERM FROM XX; THAT
3 IS, FEMALE CELLS, GERM CELLS, BECAUSE YOU NEED THE Y
4 CHROMOSOME OR THE GENES ON THE Y CHROMOSOME FOR THE
5 DEVELOPMENT OF A SPERM. AND IT'S PRETTY CLEAR THAT
6 YOU'VE GOT TO HAVE THOSE GENES FUNCTIONAL. SO IT WOULD
7 BE QUITE DIFFICULT, I THINK, TO DEVELOP FUNCTIONAL
8 SPERM WITHOUT A Y CHROMOSOME PRESENT AT SOME POINT IN
9 TIME.

10 THE EGG IS AN EXTREMELY COMPLEX AND UNSTABLE
11 STRUCTURE. IT'S A VERY LARGE CELL IN THE BODY. IT'S A
12 VERY COMPLICATED STRUCTURE. IT HAS A VERY COMPLICATED
13 SET OF FUNCTIONS. AND THAT'S UNLIKELY TO BE REPLICATED
14 OUT OF CELLS WHICH WOULD BE XY BECAUSE BOTH XX
15 CHROMOSOMES ARE UNMETHYLATED IN THE GAMETOGENESIS
16 PROCESS, AND THEY NEED TO BE FUNCTIONAL FOR THAT
17 PROCESS TO TAKE PLACE. SO IT'S NOT IMPOSSIBLE, BUT I'M
18 JUST SAYING IT'S GOING TO BE DIFFICULT. BUT THIS WOULD
19 BE THOUGHT TO BE A CONTROVERSIAL ISSUE, RIGHT, AND I'M
20 BRINGING IT IN YOUR ATTENTION.

21 ALSO, THE CONSENT TO USE THE CELLS OR TISSUES
22 FOR IPS CELL FORMATION OF GAMETES FROM INDIVIDUALS,
23 INCLUDING THOSE THAT MIGHT BE FAMOUS OR DESIRABLE OR
24 DECEASED, THESE ARE ISSUES WHICH WOULD PRODUCE A
25 CONTROVERSY AS FAR AS I WOULD ESTIMATE.

BARRISTERS' REPORTING SERVICE

1 WHAT ARE THE POTENTIAL MERITS OF THE
2 RESEARCH? WELL, YOU MIGHT BETTER UNDERSTAND THE
3 PATHOPHYSIOLOGY OF HUMAN INFERTILITY, PREMATURE
4 MENOPAUSE, AND STERILITY. A BETTER UNDERSTANDING OF
5 THAT WILL BE MUCH MORE HELPFUL IN OUR MEDICAL DECISIONS
6 WHEN WE'RE WORKING WITH THOSE PATIENTS. YOU COULD ALSO
7 DETERMINE THE FUNCTION OF GENE PRODUCTS AND THEIR
8 MECHANISMS OF ACTION, WHICH UNDERPINS AN UNDERSTANDING
9 OF REPRODUCTION, BUT ALSO ERRORS AND DEFECTS. SO IT
10 MAY BE VERY IMPORTANT TO HELP US UNDERSTAND THE BASIS
11 OF MAJOR DEFECTS AND ERRORS.

12 ALSO, THE UNDERSTANDING OF THE ROLE OF
13 ASSOCIATED CELLS AND TISSUES BECAUSE GAMETES DEVELOP
14 WITHIN THE TESTES AND THE OVARY, AND ALSO THERE ARE
15 VERY IMPORTANT RELATIONSHIPS WITH THE TISSUES WHERE
16 THEY'RE FOUNDED, BUT ALSO WITH THE CELLS WHICH THEY
17 INTERACT WITH, INCLUDING THOSE SO-CALLED NICHE
18 ENVIRONMENTS IN THE HEALTH OF HUMAN GAMETES. SO THAT'S
19 AN IMPORTANT AREA OF FURTHER UNDERSTANDING.

20 IT IS ALSO A POTENTIAL SOURCE OF RECIPIENT
21 OOCYTES FOR NUCLEAR TRANSFER IF YOU'RE ABLE TO DEVELOP
22 OOCYTES FROM THIS PROCESS AND STEM CELL RESEARCH. SO
23 IT'S A POSSIBILITY, AND IT'S BEEN ARGUED THAT WAS THE
24 CASE. AND IT HAS A POTENTIAL FOR UTILIZATION OF
25 TREATMENTS FOR PREMATURE OR POSTMENOPAUSAL INFERTILITY

BARRISTERS' REPORTING SERVICE

1 OR MALE STERILITY. SO THERE ARE SOME MERITS AND THERE
2 ARE SOME CONTROVERSIES AND THERE ARE SOME REAL
3 DIFFICULTIES.

4 AND I HOPE THIS MAYBE, BERNIE, IS WHAT YOU
5 WANTED, BUT THIS IS THE WAY, I THINK, GENERALLY THE
6 FIELD FEELS ABOUT IT AT THE MOMENT. I DON'T THINK
7 THERE'S REALLY ANY CHANCE OF THIS HAPPENING IN A WAY
8 THAT WOULD IMPACT THE COMMITTEE WITHIN THE NEXT FIVE
9 YEARS. BUT NEVERTHELESS, WE'VE BEEN SURPRISED SOMETIME
10 AT THE RATE OF PROGRESS OF SOME RESEARCH, AND I THINK
11 IT'S A VERY LONG TERM, 10 YEARS OR 15 YEARS, BEFORE ANY
12 OF THESE KIND OF TECHNIQUES WOULD BE LIKELY TO BE
13 APPLIED. AND THEY ARE GUESSTIMATES RATHER THAN ANY
14 SORT OF VERY FIRM KNOWLEDGE, BUT I SUSPECT THERE WOULD
15 BE A VERY SERIOUS LOOK AT THE NORMALITY OF THE GAMETES
16 IF YOU'RE GOING TO PRODUCE CHILDREN AND THEN GROWN UP
17 PEOPLE BECAUSE ALL OF THOSE ELEMENTS OF WHAT YOU DO
18 WITH THE GAMETES WILL GO ON AND THEN BE INHERITABLE
19 FROM THEN ON.

20 CHAIRMAN LO: ALAN, THANK YOU VERY MUCH FOR A
21 VERY SORT OF ELEGANT OVERVIEW OF THIS. I THINK WHAT
22 IT'S IMPORTANT FOR US TO UNDERSTAND IS ALTHOUGH WE MAY
23 HEAR THINGS IN THE PRESS OR AN INFORMAL CONVERSATION
24 ABOUT, WELL, THIS IS RIGHT AROUND THE CORNER, ONE OF
25 THE THINGS I THINK ALAN IS SAYING IS THERE'S SOME VERY

BARRISTERS' REPORTING SERVICE

1 INTERESTING WORK, BUT THERE'S A LOT OF OTHER WORK THAT
2 NEEDS TO BE DONE THAT WILL TAKE MANY YEARS BEFORE ANY
3 OF THESE SORT OF SCENARIOS REALLY COME TO PASS. SO
4 THIS NEED NOT BE ON OUR PLATTER NOW, BUT IT'S CERTAINLY
5 OF GREAT INTEREST AND SORT OF FORMS THE BACKGROUND WHY
6 PEOPLE ARE JUST INTERESTED IN STEM CELL SCIENCE.

7 COMMENTS? JOSE, YOU WANT TO START US OFF.

8 DR. CIBELLI: JUST QUESTION TO ALAN. YOU ARE
9 TRYING TO ADDRESS THE ISSUE OF EMBRYONIC STEM CELLS
10 MAKING GAMETES OR THE NEED FOR GAMETES TO WORK IN
11 REGENERATIVE MEDICINE OR HELPING PEOPLE OVERCOME
12 INFERTILITY? WHAT IS THE CONCERN HERE?

13 DR. TROUNSON: WELL, I THINK THE CONCERN HERE
14 IS THAT THERE WERE PUBLICATIONS WHICH DEMONSTRATED IN
15 THE POPULAR PRESS THAT HUMAN SPERM HAVE BEEN DEVELOPED
16 FROM EMBRYONIC STEM CELLS AND WITH IPS CELLS. IF YOU
17 USE THE SAME SYSTEM, IT'S POSSIBLE YOU COULD DEVELOP
18 FROM THEM. WHAT I'M SUGGESTING TO YOU IS THAT THAT IS
19 SOME TIME OFF BECAUSE OF THE REQUIREMENT TO UNDERSTAND
20 THIS PROCESS VERY MUCH BETTER THAN IT'S CURRENTLY
21 UNDERSTOOD. AND THAT EVEN IF THERE ARE CLAIMS THAT YOU
22 CAN PRODUCE A SPERMLIKE CELL AND THE FACT THAT YOU CAN
23 PRODUCE MICE, BUT THOSE MICE CERTAINLY HAD PROBLEMS,
24 THEY DIDN'T SURVIVE VERY LONG, WOULD MEAN THAT THERE'S
25 A LOT OF BASIC RESEARCH THAT NEEDS TO BE GOING ON

BARRISTERS' REPORTING SERVICE

1 THERE.

2 SO IT'S MORE ABOUT JUST BEING AWARE THAT THIS
3 IS NOT -- WE'RE NOT REALLY RIGHT ON THE EDGE, AS BERNIE
4 SAID, OF A NEW DEVELOPMENT. I THINK IT'S GOING TO BE
5 SOME TIME AWAY BEFORE WE CAN ADOPT IT.

6 DR. CIBELLI: BUT IF THE ISSUE IS THE OTHER
7 WAY AROUND, SO THAT THE NEED FOR GAMETES, I THINK WITH
8 THE PUBLICATION OF THE PAPER IN *NATURE* LAST WEEK FROM
9 OREGON WHERE THEY DID THIS SPINDLE TRANSFER, I THINK
10 THERE WILL BE AN INCREASED NEED FOR OOCYTES. AND I'D
11 LIKE TO KNOW YOUR VIEW ON THAT.

12 DR. TROUNSON: SO THIS IS WORK THAT WAS DONE
13 BY MITALIPOV AND COLLEAGUES WITH MONKEYS IN OREGON.
14 AND THEIR WHOLE AIM THERE WAS THE ADDRESSING OF
15 MITOCHONDRIAL DISEASE. MITOCHONDRIAL DISEASE IS A
16 COMPLEX DISEASE THAT IN THE MITOCHONDRIA YOU CAN HAVE
17 DNA AND YOU CAN HAVE MUTATIONS IN THE DNA USUALLY
18 ASSOCIATED WITH ENERGY SYSTEMS IN THE CELL, AND SO
19 HEALTH OF THE CELLS, IF YOU LIKE. THEY ALSO RELATE, I
20 THINK, TO SOME MENTAL RETARDATION CONDITIONS. SO THERE
21 ARE ISSUES IF YOU HAVE A BROAD SPECTRUM OF THESE
22 DISEASES IN THE MITOCHONDRIA WHICH ARE EXPOSED THROUGH
23 MUTATIONS IN THE MITOCHONDRIAL DNA, HOW WOULD THAT BE
24 REPAIRED.

25 WELL, IF YOU TOOK THE NUCLEUS OF THE CELL OF

BARRISTERS' REPORTING SERVICE

1 THE INDIVIDUAL AND YOU PUT IT IN ANOTHER EGG THAT HAD A
2 CLEAN SET OF MITOCHONDRIAL DNA, THAT YOU WOULD NOT THEN
3 TRANSMIT THE MITOCHONDRIAL DISEASE. WHILE THE MONKEYS
4 IN THE STUDY DID NOT HAVE MITOCHONDRIAL DISEASE, THEY
5 SHOWED THAT YOU COULD TRANSPLANT THE CHROMATIN
6 MATERIAL, THE SPINDLE, FROM ONE EGG, ONE MONKEY EGG,
7 INTO ANOTHER EGG THAT HAD CLEAN MITOCHONDRIA OR ITS OWN
8 MITOCHONDRIA AND THAT THAT DEVELOPED MONKEY COULD
9 DEVELOP TO TERM. THAT EMBRYO COULD DEVELOP TO TERM.

10 SO IT PROVIDES THE BASIS FOR TREATMENT OF
11 MITOCHONDRIAL DISEASE, A PROOF OF CONCEPT, IF YOU LIKE,
12 IN AN ANIMAL.

13 THERE'S ANOTHER GROUP AT THE UNIVERSITY OF
14 NEWCASTLE THAT HAS BEEN TRYING TO DO THAT IN THE HUMAN,
15 AND I THINK THEIR WORK IS YET NOT ANYWHERE NEAR THAT
16 DEVELOPED STATE. NOW, WHETHER THIS IS GOING TO BE
17 ARGUABLY IMPORTANT FOR REMOVING GENETIC ABNORMALITIES
18 FROM THE POPULATION, I'M UNSURE. BUT IT'S CERTAINLY A
19 COMPLICATED PROCEDURE, AND IT'S A TECHNICALLY DIFFICULT
20 ONE, BUT I DON'T THINK IT WILL IMPINGE UPON OUR WORK IN
21 STEM CELLS NECESSARILY, OR I DON'T UNDERSTAND WHERE IT
22 WOULD, BUT IT IS IMPORTANT FROM POINT OF VIEW OF
23 GENETIC DISEASE IN FAMILIES THAT HAVE GOT KNOWN
24 MITOCHONDRIAL DISORDERS, PARTICULARLY THOSE DISORDERS
25 WHICH LEAD TO VERY SERIOUS CONDITIONS.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: OTHER QUESTIONS? ALTA.

2 MS. CHARO: THIS IS MORE BY WAY OF A COMMENT
3 THAN A QUESTION, ALAN. FIRST, I WANT TO APOLOGIZE FOR
4 FOOLING AROUND WITH THE IPHONE WHILE YOU WERE SPEAKING,
5 BUT IT WAS BECAUSE I RECALLED THAT THERE ACTUALLY HAD
6 BEEN A GROUP ABOUT 18 MONTHS AGO THAT HAD DONE SOME
7 CONCENTRATED WORK ON THIS, I WAS ABLE TO SIT IN ON THE
8 MEETINGS, CALLED THE HINXSTON GROUP, WERE FAMILIAR WITH
9 IT. I'M NOT SURE EVERYBODY ELSE IS. BUT FOR WHAT IT
10 IS WORTH, THIS IS A KIND OF GLOBALLY BASED GROUP,
11 SELF-APPOINTED, OF PEOPLE WHO ARE MOSTLY RESEARCHERS
12 AND A SMATTERING OF ETHICS AND POLICY TYPES THAT SPENT
13 TWO AND A HALF CONCENTRATED DAYS IN ENGLAND TALKING
14 ABOUT THE SCIENCE AND KEEPING IN MIND THE KIND OF
15 POLITICAL CONTEXT AT THE TIME AT APRIL 2008.

16 THE CONVERSATION PROGRESSED IN THE FOLLOWING
17 WAY, THAT THERE WAS ABSOLUTE AGREEMENT THAT THIS WAS IN
18 NO WAY REMOTELY SAFE FOR HUMAN REPRODUCTION. AND THE
19 QUESTION ON EVERYBODY'S MIND WAS WHETHER OR NOT
20 GOVERNMENTS MIGHT WANT TO CRIMINALIZE THE VERY RESEARCH
21 ON A KIND OF SLIPPERY SLOPE ARGUMENT BECAUSE THERE HAD
22 BEEN SUCH FUSS IN THE PAPERS ABOUT THE POSSIBLE
23 DOWNSTREAM HUMAN REPRODUCTIVE APPLICATIONS. AND SO
24 THEY CAME UP WITH A STATEMENT, BUT BECAUSE, OF COURSE,
25 IT HAD TO SERVE THE JAPANESE AND THE GERMANS AND THE

BARRISTERS' REPORTING SERVICE

1 ENGLISH AND THE AMERICANS AND THE FRENCH, IT'S PRETTY
2 BENIGN.

3 BUT NONETHELESS, JUST BECAUSE I WANTED TO
4 KIND OF UNDERSCORE WHAT YOU ALL WERE JUST SAYING, THAT
5 JUST BECAUSE IT'S ON YOUR SLIDE DOESN'T MEAN THAT THIS
6 GROUP IS ABOUT TO START FUNDING RESEARCH ON USING
7 SYNTHESIZED GAMETES FOR HUMAN REPRODUCTION, THAT
8 THERE'S ALSO A GLOBAL CONSENSUS ON THAT POINT ALREADY
9 KIND OF IN PLACE AMONG SOME OPINION LEADERS.

10 DR. TROUNSON: IT'D BE FAIR TO SAY, ALTA,
11 THAT THAT WAS EXACTLY THE ARGUMENT THAT WAS RAISED WHEN
12 WE FIRST DEVELOPED IVF, OF COURSE. SO WHAT'S REQUIRED
13 IS THAT THE SCIENTISTS NEED BE ABLE TO PROVE AS BEST
14 THEY CAN THAT THEY ARE NORMAL. SO WE'RE UNDERPINNING
15 THE SAME ISSUE, I THINK. IT'S JUST THAT, YOU KNOW, WE
16 MIGHT HAVE CONFIDENCE THAT IN A 20-YEAR TIMEFRAME THAT
17 THEY MAY WELL HAVE GOT TO THAT POINT IN 15 YEARS OR 10
18 YEARS WHERE THEY'RE ABLE TO DEMONSTRATE THAT
19 ADEQUATELY. AND THERE MIGHT BE A DIFFERENT DISCUSSION
20 HERE OR IN THE COMMUNITY ABOUT THE MERITS OF IT.

21 MS. CHARO: RIGHT. AND THAT'S ABSOLUTELY
22 TRUE. IT WAS SIMPLY THAT THE PRESS HAD BEEN LEAPING SO
23 QUICKLY FROM FIRST STUDY WITH MOUSE TO NEXT YEAR BABY
24 IN SAN FRANCISCO, THAT IT SEEMED IMPORTANT TO KIND OF
25 ADDRESS WHAT WAS CURRENTLY APPROPRIATE AS OPPOSED TO

BARRISTERS' REPORTING SERVICE

1 LEAPING FORWARD LIKE THE PRESS HAD DONE.

2 DR. TROUNSON: I AGREE.

3 CHAIRMAN LO: VERY IMPORTANT POINT BY ALTA.
4 QUESTIONS?

5 DR. ROBERTS: I JUST WONDER, ALAN, IF YOU
6 COULD COMMENT ON WHAT YOU THINK IS THE VIEW OF THE NEED
7 FOR THESE GAMETES. YOU'VE MENTIONED LOTS OF POSSIBLE
8 USES. DO YOU THINK THESE RESEARCHERS HAVE A PARTICULAR
9 USE IN MIND? ARE MOST OF THEM THINKING TOWARD HUMAN
10 REPRODUCTIVE USES? OR IS IT THERE'S THE CURING OF
11 DISEASED STEM CELLS OR IS IT TOO EARLY?

12 DR. TROUNSON: I THINK THE RESEARCHERS ARE
13 THINKING MUCH MORE ABOUT FUNCTION AND UNDERSTANDING THE
14 PROCESS. BUT IN THAT UNDERSTANDING OF THE PROCESS,
15 THEY WILL CONTINUE TO SORT OF MOVE FORWARD ALL THE TIME
16 AND IN UNDERSTANDING THE PROCESS BETTER WILL WORK OUT
17 WAYS IN WHICH YOU CAN ACTUALLY MATURE THESE CELLS IN A
18 MORE NORMAL FASHION. THERE MAY COME A TIME WHERE THE
19 ARGUMENT IS FOR MENOPAUSE, FOR THE TREATMENT OF
20 MENOPAUSE, PARTICULARLY PREMATURE MENOPAUSE, WHERE
21 STERILITY IS THE SITUATION FOR SOME YOUNG WOMEN. I
22 THINK THE COMMUNITY MIGHT CONSIDER SOME OF THE OTHER
23 ISSUES MORE CONTROVERSIAL. WHO KNOWS IN TEN YEARS TIME
24 HOW WE WOULD FEEL ABOUT THE USE OF CELLS IN THE SAME
25 SEX COUPLE.

BARRISTERS' REPORTING SERVICE

1 IT'S JUST NOT EASY TO PREDICT THAT NOW.
2 RIGHT NOW I DON'T THINK THERE'S A BASIS FOR THE
3 DISCUSSION. NEVERTHELESS, IT'S AN ISSUE THAT IS REAL
4 AND OUGHT TO BE THOUGHT ABOUT IN DUE COURSE BECAUSE IF
5 THE RESEARCH WORK CONTINUES TO SHOW THAT YOU CAN EVOLVE
6 A SYSTEM FOR GENERATING EITHER SPERM OR EGGS, AND EGGS
7 ARE GOING TO BE MUCH HARDER, BUT LET'S SAY THEY DO,
8 AND, OF COURSE, THERE WILL BE A CALL FOR THEIR
9 APPLICATION IN PATIENTS WHO REALLY DON'T HAVE ANY OF
10 THE SPERM OR EGGS.

11 MR. SHEEHY: I GUESS, FIRST OF ALL, I JUST
12 FIND THIS A LITTLE -- BRINGS TO MIND WHAT'S THE
13 DIFFERENCE BETWEEN CLONING AND DOING THESE THINGS. ONE
14 OF MY BIGGEST PROBLEMS WITH CLONING IS THAT THE ONLY
15 WAY YOU KNOW YOUR EXPERIMENT IS SUCCESSFUL IS YOU GROW
16 A HEALTHY BABY. AND IF YOU HAVE A COUPLE OF MISFIRES
17 IN THE MIDDLE, WELL, THAT'S SCIENCE. AND I FEEL KIND
18 OF THE SAME WAY ABOUT TALKING ABOUT THESE GAMETE
19 EXPERIMENTS FOR REPRODUCTIVE PURPOSES, THAT THE ONLY
20 WAY YOU KNOW IT REALLY WORKS IS IF YOU ACTUALLY ARE
21 ABLE TO CREATE A HUMAN BEING THAT DOESN'T HAVE A LOT OF
22 MISTAKES IN THE CODING ALONG THE WAY.

23 SO I SEE THIS AS OFF IN THE FUTURE, BUT THIS
24 DISCUSSION AND LEADING INTO REPRODUCTIVE PURPOSES IS A
25 LITTLE BIT BRAVE NEW WORLD. ALSO, I DON'T UNDERSTAND,

BARRISTERS' REPORTING SERVICE

1 AND THIS IS FROM A VERY PERSONAL POINT OF VIEW, WHY
2 SAME SEX COUPLES' REPRODUCTIVE URGES ARE A RATIONALE
3 FOR THIS BECAUSE I'M SOMEWHAT FAMILIAR WITH THE GAY
4 AGENDA, AND WE'RE NOT ALL DEMANDING DESIGNER GAMETES TO
5 BE -- I MEAN I DON'T WANT TO MAKE A -- WELL, I'M NOT
6 TRYING TO MAKE AN EGG. AND I THINK PEOPLE HAVE DONE
7 VERY WELL WITH ADOPTION OR OTHER ASSISTED REPRODUCTION
8 TECHNIQUES. SO IT DOES SEEM A BIT OF A CANARD TO HAVE
9 THE SAME SEX COUPLE REPRODUCTIVE URGE. I'M NOT SURE
10 WHAT'S THE STIMULUS FOR THAT. IT SEEMS NEEDLESSLY
11 CONTROVERSIAL TO MY MIND. BUT THE WHOLE THING, IT DOES
12 SEEM AT SOME POINT WE'RE DOING SOME FORM OF HUMAN
13 EXPERIMENTATION.

14 DR. TROUNSON: I THINK I WAS TRYING TO
15 SUMMARIZE THE ISSUES IN THAT PAPER. THOSE ISSUES WERE
16 DRAWN OUT AS CONTROVERSIAL. SO YOU COULD READ THOSE
17 PAPERS, BUT I THINK, AGAIN, IN MANY RESPECTS THE SAME
18 ARGUMENTS APPLY PRIOR TO IVF. AND FOR GOOD REASON THEY
19 SHOULD APPLY. BUT THE NECESSITY FOR THE RESEARCH, I
20 THINK, AND MORE BETTER UNDERSTANDING OF GAMETOGENESIS,
21 I THINK THAT'S WHERE THE SCIENTISTS ARE. BUT IT'S NOT
22 WHERE THE NEWSPAPERS ARE FREQUENTLY. THEY'RE IN SOME
23 OTHER SPACE.

24 SO I THINK WE WANTED TO ADDRESS IT IN ORDER
25 TO MAKE SURE THAT WE'VE BROUGHT OUT ALL THE ISSUES AND

BARRISTERS' REPORTING SERVICE

1 DEMONSTRATED WHAT MIGHT BE IN THE FUTURE SOMETIME, BUT
2 PERHAPS A LONG WAY.

3 CHAIRMAN LO: ALAN, THANK YOU VERY MUCH. AS
4 YOU JUST SAID, I THINK WHAT'S IMPORTANT TO US IS TO
5 DISTINGUISH BETWEEN WHAT MAY BE TALKED ABOUT IN THE
6 PRESS OR ON BLOGS AND WHAT, IN FACT, IS THE SCIENTIFIC
7 REALITY, AND THERE OFTEN IS A DISCREPANCY.

8 NEXT WE'RE GOING TO TURN TO REGULATORY
9 ISSUES. AND ELONA BAUM, WHO'S THE GENERAL COUNSEL FOR
10 CIRM, IS GOING TO TALK TO US ABOUT TWO TOPICS: ONE,
11 FDA REGULATION, WHICH REALLY IS A FOLLOW-UP TO WHAT WE
12 TALKED ABOUT AT A PREVIOUS MEETING, AND THEN SHE'S ALSO
13 GOING TO TALK WITH US ABOUT A STATEMENT OF PRINCIPLES
14 RELATED TO CLINICAL OVERSIGHT IN CIRM GRANTS.

15 SO, ELONA, THANKS VERY MUCH FOR COMING.

16 MS. BAUM: THANK YOU VERY MUCH. I THINK THAT
17 MY SLIDES ARE NOT HERE; IS THAT RIGHT? I'M VERY SORRY
18 ABOUT THAT. I ONLY HAD THREE SLIDES, AND I CAN JUST
19 SPEAK TO THE ISSUE.

20 DR. LOMAX: WE CAN GET THEM. GIVE US A
21 MINUTE.

22 CHAIRMAN LO: YOU CAN TALK.

23 MS. BAUM: I CAN SPEAK TO THE ISSUE ANYWAY.
24 OKAY. THAT'S FINE.

25 MY UNDERSTANDING FROM THE LAST WORKSHOP THAT

BARRISTERS' REPORTING SERVICE

1 WAS CONDUCTED ON CLINICAL TRIALS BY THIS GROUP BACK IN
2 FEBRUARY WAS THAT TWO PRECISE ISSUES OR GENERAL ISSUES
3 ON FDA-RELATED MATTERS CAME UP. ON MY SLIDE I WOULD
4 HAVE ACTUALLY SHOWN THOSE. BUT I THINK THAT THERE WAS
5 A RECOMMENDATION OR A THOUGHT THAT CIRM CAN FUNCTION AS
6 A BROKER FOR EXCHANGING INFORMATION BETWEEN OUR
7 GRANTEES AND, OF COURSE, THE FDA. SO THERE WAS A
8 RECOMMENDATION TO CONSIDER WHETHER OR NOT CIRM COULD BE
9 EFFECTIVE IN PLAYING THAT ROLE, AND THERE WAS A THOUGHT
10 THAT THAT WOULD SERVE THE GREATER GOOD FOR THE
11 GRANTEES.

12 AND THEN THERE WAS ALSO A NOTE TO THE EFFECT
13 THAT IT WOULD BE VERY HELPFUL IF WE HAD SOME STANDARDS
14 IN PLACE, OF COURSE, FOR PRECLINICAL TESTING, THAT IT'S
15 ALWAYS HELPFUL WHEN YOU HAVE AN FDA GUIDANCE THAT CAN
16 HELP GUIDE THE WAY FORWARD.

17 WE TOOK THOSE RECOMMENDATIONS AND SUGGESTIONS
18 VERY SERIOUSLY AND CERTAINLY AGREE WITH NEEDING AN
19 EXPANDED INTERFACE WITH THE FDA. AND WHAT WE DID IS I
20 HAD A NIFTY LITTLE SLIDE THAT SHOWED ALL THE WORK THAT
21 WENT INTO ACTUALLY DOING JUST THAT VERY THING. SO WE
22 HAVE CREATED A CONSORTIUM, WHICH WE'RE CALLING THE
23 REGENERATIVE MEDICINE CONSORTIUM.

24 DR. KIESSLING: EXCUSE ME. WHILE WE'RE
25 WAITING FOR SLIDES, COULD YOU PLEASE TELL US WHO WE IS?

BARRISTERS' REPORTING SERVICE

1 MS. BAUM: STAFF. I'M THE GENERAL COUNSEL OF
2 CIRM.

3 DR. KIESSLING: SO THIS JUST CIRM STAFF IS
4 THE WE.

5 MS. BAUM: CIRM STAFF IS THE WE. I'LL
6 CONTINUE TO TALK. IN APRIL OF THIS YEAR, ACTUALLY ON
7 MY VERY FIRST DAY AT CIRM, A GROUP, WE BEING STAFF,
8 WENT TO THE FDA AND MET WITH THEM TO TALK ABOUT THIS
9 CONCEPT, THIS ABILITY FOR US TO ESTABLISH SOME CONDUIT
10 FOR COMMUNICATION AND WHAT THAT CONDUIT WOULD LOOK
11 LIKE. WE HAD MANY IDEAS. AND IN THE END, AFTER MANY
12 DISCUSSIONS -- AFTER MANY DISCUSSIONS, AS YOU CAN SEE,
13 WE STARTED IN APRIL. WE HAD MANY DISCUSSIONS ON WHAT
14 THE BEST CONDUIT WOULD BE FOR DISCUSSIONS WITH FDA. WE
15 SETTLED ON A SITUATION WHERE WE WOULD HAVE ROUNDTABLE
16 DISCUSSIONS WITH THE FDA.

17 INITIALLY WE THOUGHT THAT MAYBE WE WOULD DO A
18 LIAISON COMMITTEE. AND THOSE OF YOU WHO ARE FAMILIAR
19 WITH WORKING WITH THE FDA WOULD KNOW THAT THAT IS MORE
20 FORMALISTIC IN THAT YOU ACTUALLY HAVE NOTES THAT ARE
21 PUBLISHED, BUT WE WANTED TO ALLOW FOR A VERY FULL
22 DISCUSSION OF IDEAS AND A LITTLE LESS FORMALITY, A
23 LITTLE MORE INFORMALITY. SO WE CAME UP WITH THE NOTION
24 THAT WE WOULD FORM A CONSORTIUM OF RESEARCHERS, THE
25 DISTINGUISHED SCIENTISTS IN THE COMMUNITY THAT ARE

BARRISTERS' REPORTING SERVICE

1 WORKING ON THESE ISSUES THAT WOULD SIT IN A ROUNDTABLE
2 AND FDA WOULD BE PRESENT.

3 SO WE HAVE, I'M HAPPY TO SAY, FIXED OUR FIRST
4 DAY FOR THAT VERY FIRST MEETING WITH FDA. AND THAT
5 DATE WILL BE NOVEMBER 5TH, AND WE WILL HAVE A
6 CONSORTIUM OF NATIONAL RESEARCHERS AND FUNDING
7 ORGANIZATIONS AND RESEARCHERS BOTH IN ACADEMIA AND
8 INDUSTRY AS PART OF THIS ROUNDTABLE. AND WE WANTED TO
9 MAKE SURE THAT THE ROUNDTABLE WOULD BE SUFFICIENTLY
10 SMALL TO ENCOURAGE A FULL DIALOGUE OF IDEAS, BUT LARGE
11 ENOUGH SO THAT WE COULD GET A BROAD REPRESENTATION.

12 WE PROBABLY HAVE ABOUT 20 TO 25 MEMBERS AS
13 PART OF THE CONSORTIUM. RIGHT NOW WE'RE IN THE PROCESS
14 OF FINALIZING THE MEMBERSHIP. AND ON NOVEMBER 5TH, AS
15 I SAID, WE'LL HAVE THE VERY FIRST MEETING. FDA IS VERY
16 EXCITED ABOUT THIS. THEY ACTUALLY WANT TO INVITE 22 TO
17 30 PEOPLE TO ATTEND. AND SO I THINK THAT WE'RE GOING
18 TO HAVE VERY ROBUST CONVERSATIONS. AND WHAT THOSE
19 CONVERSATIONS WILL LOOK LIKE WILL BE GEARED TOWARDS THE
20 DEVELOPMENT OF EVENTUALLY A GUIDELINE FOR PRECLINICAL
21 TESTING AND EVENTUALLY SPECIFIC AREAS FOR ASSAY
22 DEVELOPMENT. IT WILL TAKE A LONG TIME AS THE FDA HAS
23 TO BE VERY CAREFUL, AND THERE'S A LOT OF KNOWLEDGE THAT
24 NEEDS TO BE GLEANED BEFORE THEY CAN GO TO THE GUIDANCE
25 STAGE.

BARRISTERS' REPORTING SERVICE

1 I WANTED TO ELUCIDATE A LITTLE BIT MORE ABOUT
2 WHAT THE PARTICULAR MISSION AND THE OBJECTIVES ARE OF
3 THIS CONSORTIUM, AND THAT WILL GIVE YOU A LITTLE MORE
4 INFORMATION OF WHAT ROLE WE INTEND TO SERVE. WE BEING
5 THE CONSORTIUM IN THIS CONTEXT. THE CONSORTIUM'S
6 MISSION, OF COURSE, IS TO SHAPE THE DEVELOPMENT OF THE
7 HIGHEST QUALITY AND THE MOST EFFICIENT REGULATORY
8 PATHWAY FOR BRINGING VITAL STEM CELL THERAPIES TO
9 PATIENTS.

10 AND HOW WILL WE DO THAT? WELL, THE FIRST
11 STEP, AS I SUGGESTED, IS FOR DISCUSSION, DISCUSSION OF
12 AREAS OF MUTUAL INTEREST TO BOTH THE FDA AND TO THE
13 RESEARCHERS IN THE COMMUNITY. BUT WE WILL HAVE VERY
14 DISTINGUISHED EXPERTS IN THESE ROUNDTABLES, AND IT IS
15 THE HOPE THAT THESE ROUNDTABLES WILL SERVE AS A
16 TECHNICAL RESOURCE, AS I INDICATED, FOR DEVELOPING
17 THESE GUIDELINES THAT ARE SO CRITICAL FOR ADVANCING THE
18 FIELD. AND, OF COURSE, I EXPECT THAT DURING THESE
19 ROUNDTABLES, WE'LL BE IDENTIFYING VARIOUS SCIENTIFIC
20 GAPS AND APPROACHES TO CLOSING THOSE GAPS.

21 SO WITH THAT SAID, I'M HAPPY TO EXPLAIN MORE
22 ABOUT THE AGENDA OF THIS FIRST MEETING. IT WILL TOUCH
23 ON EVERYTHING FROM WHAT FDA CONSIDERS ITS TOP ISSUES
24 FOR ISSUING AN IND AND IND READINESS. WE WILL BE ALSO
25 IDENTIFYING AREAS WHERE WE THINK THERE ARE SOME

BARRISTERS' REPORTING SERVICE

1 ROADBLOCKS FOR PROGRESSING IN THE FIELD, SOME
2 REGULATORY HURDLES. WE'LL TALK ABOUT ASSAYS THAT WE
3 THINK SHOULD BE DEVELOPED, AND MIGHT EVEN START DELVING
4 INTO SOME AREAS SUCH AS WHAT THE NATURE OF ANIMAL
5 STUDIES NEEDS TO BE. WE'LL GET HOPEFULLY PRETTY
6 DETAILED.

7 DR. PRIETO: QUESTION I HAVE IS WHO'S
8 ACTUALLY CONVENING THE CONSORTIUM, AND WHO DECIDES
9 WHO'S INVITED, WHO SETS THE AGENDA?

10 MS. BAUM: I'LL ANSWER ALL OF THOSE ONE BY
11 ONE. CONVENING, CIRM IS TAKING THE LEADERSHIP ON THIS.
12 MEMBERS INCLUDE VARIOUS ACADEMIC INSTITUTIONS ACROSS
13 THE NATION. THIS COULD NOT BE SOMETHING THAT WAS
14 CALIFORNIA CENTRIC. THAT WAS VERY CLEAR BY FDA AND
15 UNDERSTANDABLY WHY.

16 AND SO WHO WILL LEAD IT, IT WILL BE CIRM IN
17 TERMS OF DOING A LOT OF THE LOGISTICS, BUT THE AGENDA
18 WILL BE DECIDED BY THE CONSORTIUM. I ACTUALLY HAD TO
19 TAKE THE LEADING ROLE IN FORMING THE FIRST AGENDA
20 BECAUSE I WANTED TO GET THIS PROCESS IN PLACE. AND I
21 GAVE THE FDA A STRAW MAN PROPOSAL, WHICH THEY HAPPENED
22 TO ACTUALLY LIKE AND MADE VERY LITTLE CHANGE TO. AS
23 I'M TALKING TO VARIOUS MEMBERS WHO ARE INTERESTED IN
24 JOINING THE CONSORTIUM, I'M ASKING THEM FOR ADDITIONAL
25 COMMENTS ABOUT AGENDA ITEMS.

BARRISTERS' REPORTING SERVICE

1 AND IN THE FUTURE, THE WAY THESE THINGS ARE
2 NORMALLY SET UP AND PROGRESS IS THAT AT THE MEETING
3 THAT YOU HAVE, YOU ASK THEM FOR AGENDA ITEMS FOR THE
4 NEXT MEETING. AS I SAID, WE WANT TO DO THIS QUARTERLY.
5 AND THAT'S THE GENESIS OF IT.

6 MR. SHEEHY: HAS THERE BEEN ANY CONSIDERATION
7 TO ACTUALLY INVOLVING PATIENTS IN THIS PROCESS OR
8 PATIENT ADVOCATES? YOU KNOW, WE ALWAYS TALK ABOUT THE
9 JESSE GELSINGER CASE. AND ONE OF THE THINGS THAT'S
10 BEEN BROUGHT UP AS A WAY TO POSSIBLY AMELIORATE THAT IS
11 DO WHAT WE'VE DONE IN HIV AND INVOLVE PATIENTS AND
12 PATIENT ACTIVISTS AND THAT COMMUNITY INTO THIS PROCESS.
13 THAT'S ALWAYS BEEN A FEATURE THAT'S BEEN UNIQUE TO
14 CIRM, BUT PERHAPS ISN'T PART OF THIS. BUT I THINK THAT
15 THAT WOULD BE INTERESTING.

16 I KNOW AT LEAST IN THE HIV FIELD THERE ARE
17 PEOPLE WITH ENORMOUS EXPERIENCE WITH THE FDA. AND
18 PERHAPS ASKING SOME OF THE CONSORTIUM PARTNERS TO
19 IDENTIFY, I MEAN CERTAINLY YOU COULDN'T PICK SOMEONE
20 OFF THE STREET, BUT I THINK WITHIN VARIOUS DISEASE
21 ORGANIZATIONS AND ADVOCACY GROUPS, THERE ARE PEOPLE WHO
22 ARE VERY KNOWLEDGEABLE ABOUT THE FDA PROCESSES AND
23 MIGHT BE ABLE TO SPEAK -- I KNOW JOAN IS VERY
24 KNOWLEDGEABLE ABOUT APPROPRIATE DISEASE MODELS FOR
25 PARKINSON'S PATIENTS AND CAN TALK QUITE ELOQUENTLY

BARRISTERS' REPORTING SERVICE

1 ABOUT THAT.

2 I KNOW THAT OUR CO-VICE CHAIR, DUANE ROTH,
3 HAS BEEN VERY EMPHATIC ABOUT THE NEED TO GET PATIENT
4 ADVOCATES INTO MEETINGS WITH THE FDA IN ORDER TO
5 PERHAPS PROPEL SOME OF THIS SCIENCE FORWARD. I THINK
6 IT'S MORE COMPELLING TO THE FDA. MAYBE ALTA HAS SOME
7 THOUGHTS ON THIS. BUT AT LEAST FROM THE HIV -- NO, YOU
8 DON'T WANT TO SAY. I'M SORRY. I SHOULD LEAVE YOU OUT
9 OF THIS. BUT IT SEEMS TO ME IN THE PAST WHEN THE FDA
10 HAS BEEN CONFRONTED WITH THE ACTUAL CONCERNS OF ACTUAL
11 PATIENTS, THAT THERE'S BEEN PERHAPS A LITTLE MORE
12 ALACRITY. SO IT'S A SUGGESTION. IT WOULD SEEM LIKE IT
13 WOULD BE APPROPRIATE TO THINK ABOUT HAVING PATIENTS
14 INVOLVED.

15 DR. TAYLOR: I'M GOING TO LEAVE ALTA OUT OF
16 THIS. BUT ONE QUESTION IS MY EXPERIENCE WITH THE FDA
17 IS THAT THEIR COMMITTEES TEND TO BE DISEASE FOCUSED.
18 AND WITH SOMETHING AS BROADLY APPLICABLE AS STEM CELL,
19 I'M KIND OF CURIOUS FROM YOUR PERSPECTIVE HOW ARE THEY
20 GOING TO POPULATE THEIR SIDE OF IT? YOU'VE GOT YOUR
21 CONSORTIUM MEMBERS, BUT WHO WILL REALLY BE REPRESENTING
22 THE FDA? WHAT KIND OF EXPERTISE WILL THEY BE BRINGING,
23 PRESENT COMPANY NOTWITHSTANDING? AND HOW DO YOU THINK
24 THAT THAT'S GOING TO GO FORWARD BECAUSE THIS IS GOING
25 TO BE BROADER THAN KIND OF A DISEASE-FOCUSED CARDIAC

BARRISTERS' REPORTING SERVICE

1 DRUG SORT OF COMMITTEE.

2 MS. BAUM: YES, I UNDERSTAND. IN PRIOR
3 EXPERIENCE, I VERY MUCH UNDERSTAND THAT THEY'RE DISEASE
4 FOCUSED IN CDER. ALL OF MY DISCUSSIONS WITH
5 DR. WITTEN, WHO IS THE DIRECTOR OF THE OFFICE OF
6 CELLULAR, TISSUE, AND GENE THERAPY, DIDN'T EVER SEEM TO
7 FOCUS ON AN INDICATION-BY-INDICATION BASIS. I THINK
8 THAT WE'LL BE DRAWING MOSTLY FROM THAT OFFICE, BUT WE
9 ALSO, WHEN I WAS IN DISCUSSIONS WITH HER, WERE TALKING
10 ABOUT PULLING IN REPRESENTATIVES FROM, FOR INSTANCE,
11 THE OFFICE OF POLICY. AND THEY DID MENTION ABOUT
12 HAVING A MEMBER OR TWO FROM THE OFFICE OF COMPLIANCE
13 COME.

14 AND WITHOUT OUR SUGGESTION, AND I THINK THIS
15 REALLY SORT OF ILLUSTRATES THE IMPORTANCE THAT THEY'RE
16 PLACING ON THIS WHOLE EXERCISE, THEY ACTUALLY SUGGESTED
17 THAT WE HAVE THE CENTER DIRECTOR OF CBER COME AND
18 PRESENT SORT OF THE VISION FOR THE FUTURE AT THIS VERY
19 FIRST MEETING. AND THEY DID SAY THAT SCHEDULES MIGHT
20 BE THAT THAT DOESN'T HAPPEN, BUT SOMEBODY FROM THE
21 CENTER OFFICE WOULD CERTAINLY SHOW UP FOR THIS VERY
22 FIRST MEETING.

23 ANOTHER TOPIC THAT I JUST WANTED TO TOUCH ON,
24 BUT NOT SUGGEST THAT WE SPEAK TO TODAY, AND I DON'T
25 THINK IT'S SOMETHING THAT WE SHOULD TALK ABOUT AND

BARRISTERS' REPORTING SERVICE

1 DELIBERATE ON, IS THE ROLE OF THIS STANDARDS GROUP FOR
2 SETTING STANDARDS FOR CLINICAL TRIALS. I JUST WANTED
3 TO POINT UP THE FACT THAT THIS IS SOMETHING THAT IS
4 PROBABLY GOING TO BE BROUGHT TO THE ATTENTION OF THIS
5 GROUP. AND I DON'T WANT TO SUGGEST THAT IT BE
6 DISCUSSED HERE TODAY BECAUSE WE HAVEN'T AGENDIZED IT,
7 AND WE WANT TO BE RESPECTFUL OF THE BAGLEY-KEENE
8 PROCESS THAT WE'VE ELECTED TO RESPECT IN THIS CONTEXT.
9 BUT I THINK THAT WE SHOULD ALL EXPECT TO HAVE A
10 DISCUSSION ON THAT AT SOME POINT IN THE FUTURE.

11 THAT'S ALL I WANTED TO SHARE WITH THE GROUP
12 TODAY.

13 CHAIRMAN LO: ANY OTHER QUESTIONS, COMMENTS
14 FOR ELONA? WELL, THANKS VERY MUCH. SOUNDS LIKE YOU
15 HAVE BEEN VERY BUSY AND HAVE GOTTEN SOME REAL INTEREST
16 AND MOVEMENT AT THE FDA. HOPEFULLY THIS WILL WORK OUT.

17 OUR NEXT SPEAKER IS FROM NEW YORK STATE, AND
18 WE'RE VERY GRATEFUL FOR ROBERT KLITZMAN FOR COMING.
19 HE'S AN ASSOCIATE PROFESSOR OF PSYCHIATRY AT COLUMBIA
20 UNIVERSITY AND WAS THE CO-FOUNDER AND CO-DIRECTOR OF
21 THE COLUMBIA UNIVERSITY CENTER FOR BIOETHICS. HE ALSO
22 IS DIRECTOR OF THE ETHICS AND POLICY CORE IN THE
23 COLUMBIA HIV CENTER. MORE TO THE POINT, HE WAS
24 APPOINTED BY THEN GOVERNOR SPITZER TO THE EMPIRE STATE
25 BOARD THAT OVERSEAS STEM CELL RESEARCH. AND THEY HAVE

BARRISTERS' REPORTING SERVICE

1 AN ETHICS COMMITTEE AND SORT OF A FINANCE COMMITTEE,
2 AND HE IS ONE OF THE MEMBERS OF THE ETHICS COMMITTEE.
3 HE'S BEEN VERY INVOLVED IN HELPING SHAPE THE PUBLIC
4 DISCUSSIONS ABOUT THEIR NEW POLICY ON DONOR
5 COMPENSATION.

6 AGAIN, I JUST WANT TO UNDERLINE BECAUSE
7 THERE'S BEEN A LOT OF MISCONCEPTIONS IN THE PRESS AND
8 IN BLOGS THAT SOMEHOW WE DON'T UNDERSTAND ON THIS BOARD
9 THAT WE'RE, OF COURSE, BOUNDED BY PROP 71, AND WE ARE
10 NOT ABLE BY STATUTE TO PAY DONORS OF -- WOMEN WHO ARE
11 DONATING OOCYTES DIRECTLY FOR RESEARCH BY STATUTE.
12 AND, OF COURSE, WE RESPECT THAT. BUT I THINK IT'S
13 IMPORTANT FOR US TO UNDERSTAND WHAT NEW YORK HAS DONE
14 BECAUSE, AGAIN, I THINK SOME OF THE PRESS HAS BEEN NOT
15 NECESSARILY ACCURATE, BUT ALSO I WANT US TO UNDERSTAND
16 THE PROCESS THEY WENT THROUGH TO ARRIVE AT THAT
17 DECISION SO THAT WE CAN SORT OF GET A FLAVOR FOR BOTH
18 THE ISSUES THAT WERE RAISED AND THE PROCESS THEY USED
19 TO DELIBERATE ABOUT THOSE ISSUES.

20 AND I THINK THERE'S SOME THINGS WE MIGHT
21 LEARN OR THAT MIGHT REINFORCE IN TERMS OF THE BEST WAY
22 TO HANDLE THIS PROCESS OF MAKING RECOMMENDATIONS ON
23 DEEPLY CONTENTIOUS AND IMPORTANT ISSUES. BOB, THANKS
24 VERY MUCH FOR COMING. AND WE'RE GOING TO HAVE YOU TALK
25 FOR ABOUT HALF AN HOUR PERHAPS, AND WE LEFT A LOT OF

BARRISTERS' REPORTING SERVICE

1 TIME FOR QUESTIONS. I THINK IT WOULD BE GOOD FOR US TO
2 GET AN APPRECIATION FOR WHAT THEY'VE DONE IN NEW YORK.
3 AND I'M SURE THERE WILL BE A LOT OF QUESTIONS THAT
4 WE'LL WANT TO ASK AND COMMENTS WE'LL MAKE. SO, BOB, GO
5 AHEAD.

6 DR. KLITZMAN: THANK YOU VERY MUCH. THANK
7 YOU FOR INVITING ME. AND I ALSO WANT TO THANK CIRM ON
8 BEHALF OF NEW YORK FOR ALL OF ITS WONDERFUL WORK OVER
9 THE PAST FEW YEARS BECAUSE WE IN NEW YORK STATE CAME TO
10 STATE FUNDING FOR STEM CELL RESEARCH AFTER YOU DID, AND
11 WE REALLY VERY MUCH APPRECIATE THE HARD WORK THAT YOU
12 HAD ALL DONE AND THE REPORTS THAT YOU HAD ISSUED, ETC.,
13 THINKING THROUGH SOME OF THE VERY COMPLEX ETHICAL
14 ISSUES AS WELL AS THE SCIENTIFIC RESEARCH THAT YOU'VE
15 DONE AND BEEN SUPPORTING, ETC. SO THANK YOU.

16 I THOUGHT I WOULD TALK A LITTLE BIT, AS
17 BERNIE JUST SAID, ABOUT THE NEW YORK STATE INITIATIVE
18 AND POINTS OF SIMILARITY AND PERHAPS DIFFERENCE. AT
19 THE END OF THE PRESENTATION, I WOULD BE HAPPY TO ANSWER
20 ANY QUESTIONS YOU MIGHT HAVE.

21 I THOUGHT I'D START JUST BY SAYING THAT WE
22 HAVE A WONDERFUL WEB SITE THAT, IF YOU'RE INTERESTED,
23 I'D ENCOURAGE YOU TO LOOK AT: WWW.NYSTEM.COM. NYSTEM
24 BEING OBVIOUS WHAT IT STANDS FOR. AND WE HAVE A VERY
25 OPEN, TRANSPARENT APPROACH. WE ARE MANDATED TO DO THAT

BARRISTERS' REPORTING SERVICE

1 IN PART BY OPEN MEETING LAWS IN NEW YORK STATE, ETC.,
2 BUT WE REALLY MADE AN EFFORT TO PUT EVERYTHING WE DO ON
3 THIS SITE.

4 JUST TO WALK YOU THROUGH QUICKLY, IF YOU LOOK
5 ON THE RIGHT, THERE ARE PROGRAM UPDATES. IT SAYS
6 EVENTS. IF YOU LOOK ON THE TOP RIGHT, IT SAYS ETHICS
7 COMMITTEE MEETING. IF YOU WERE TO CLICK ON EVENTS, IT
8 LISTS ALL THE UPCOMING MEETINGS. AGAIN, I APOLOGIZE.
9 SOME OF THIS IS SMALL, BUT IT SAYS ETHICS COMMITTEE
10 MEETINGS ON THE LEFT. IF YOU CLICK ONTO THAT, IT LISTS
11 THE AGENDA OF OUR NEXT MEETING. AND IF YOU SCROLL
12 DOWN, IT LISTS, YOU WILL SEE, MINUTES THAT ARE
13 AVAILABLE FOR ALL OF THE MEETINGS THAT WE'VE HAD.

14 WE ALSO WEBCAST ALL OF OUR MEETINGS, AND THE
15 WEBCASTS ARE THEMSELVES POSTED. SO IF YOU HAVE NOTHING
16 BETTER TO DO WITH YOUR TIME, YOU CAN WATCH US IN
17 PROCESS OF MEETING AND GET A FULLER FEEL FOR THE
18 PROCESS.

19 AND I SHOULD SAY THE EMPIRE STATE STEM CELL
20 BOARD WAS CREATED BY LAW IN 2008. JUST AS BACKGROUND,
21 WHEN OUR FORMER GOVERNOR, ELIOT SPITZER, AND LIEUTENANT
22 GOVERNOR DAVID PATTERSON RAN AND WERE ELECTED AS
23 GOVERNOR AND LIEUTENANT GOVERNOR OF NEW YORK STATE,
24 THEY MADE AS PART OF THEIR PLATFORM THE FACT THAT THEY
25 WOULD SUPPORT STEM CELL RESEARCH. SO WHEN THEY WERE

BARRISTERS' REPORTING SERVICE

1 ELECTED, THEY DEVOTED \$600 MILLION THEY ALLOCATED OVER
2 THE COURSE OF TEN YEARS FOR THIS. SO IT'S LESS THAN
3 YOU ALL HAVE DONE IN CALIFORNIA, BUT WE HOPE WILL HELP
4 MOVE THE SCIENCE ALONG IN THIS IMPORTANT GLOBAL
5 ENTERPRISE.

6 AND SO IT'S PART OF HEALTH LAW. IN OTHER
7 WORDS, UNLIKE HERE IN CALIFORNIA WHERE, AS I
8 UNDERSTAND, THERE WAS A PROPOSITION 71 THAT WENT TO THE
9 VOTERS, THIS WAS DONE THROUGH THE EXECUTIVE BRANCH IN
10 CONJUNCTION WITH THE STATE LEGISLATURE. THE BOARD IS
11 HEREBY EMPOWERED, SUBJECT TO ANNUAL APPROPRIATIONS AND
12 OTHER FUNDING AUTHORIZED OR OTHERWISE MADE AVAILABLE,
13 TO MAKE GRANTS TO BASIC, APPLIED, TRANSLATIONAL, OTHER
14 RESEARCH AND DEVELOPMENTAL ACTIVITIES THAT WILL ADVANCE
15 SCIENTIFIC DISCOVERIES IN FIELDS RELATED TO STEM CELL
16 BIOLOGY. THIS, I MEANT TO SHOW EARLIER, WAS ALL THE
17 MEETINGS YOU CAN CLICK ON TO SEE THEIR MINUTES. IF YOU
18 DID DO THAT, BY THE WAY, IN THE MINUTES, YOU CAN SEE
19 WHO ATTENDS, ETC.

20 SO JUST AS A LITTLE BIT OF BACKGROUND, THERE
21 IS A FUNDING COMMITTEE AND ETHICS COMMITTEE. THE
22 ETHICS COMMITTEE IS SORT OF THE EQUIVALENT OF THIS
23 GROUP AS I UNDERSTAND IT. EACH COMMITTEE HAS 13
24 MEMBERS. THE COMMISSIONER OF HEALTH IS THE CHAIR AND
25 IS A MEMBER OF BOTH. THERE ARE SIX DIRECT APPOINTEES

BARRISTERS' REPORTING SERVICE

1 OF THE GOVERNOR, TWO NOMINATED BY THE MAJORITY LEADER
2 OF THE SENATE, TWO NOMINATED BY THE SPEAKER OF THE
3 ASSEMBLY, ETC., ETC. THEY'RE STAGGERED TERMS. THIS IS
4 THE MEMBERSHIP. THE SCIENTIFIC MEMBERS INCLUDE OR DID
5 ORIGINALLY HAROLD VARMUS UNTIL HE ACTUALLY STEPPED DOWN
6 A FEW MONTHS AGO AFTER TAKING A POSITION WITH PRESIDENT
7 OBAMA.

8 WE HAVE A DIVERSE GROUP ON THE LEFT OF THE
9 ETHICS COMMITTEE. THERE HAVE BEEN PEOPLE WHO HAVE BEEN
10 ADDED TO THAT, SOME WHO HAVE LEFT OVER TIME. DANIEL
11 SULMASY, WHO'S WRITTEN EXTENSIVE ON THEOLOGICAL ISSUES
12 AS WELL AS BEING A PHYSICIAN, MOVED TO CHICAGO AND IS
13 NO LONGER A MEMBER, ETC.

14 FUNDING COMMITTEE RESPONSIBILITIES, THIS IS
15 FROM THE WEB SITE, THERE'S A LOT OF TEXT HERE, BUT
16 BASICALLY JUST TO HIGHLIGHT, THAT THIRD THING
17 RECOMMENDS STANDARDS FOR THE SCIENTIFIC AND MEDICAL
18 OVERSIGHT OF AWARDS AND, AS YOU MIGHT IMAGINE, REVIEWS
19 THE APPLICATIONS, ETC. THE ETHICS COMMITTEE IS
20 RESPONSIBLE TO MAKE RECOMMENDATIONS TO THE FUNDING
21 COMMITTEE ON THE SCIENTIFIC, MEDICAL, AND ETHICAL
22 STANDARDS, STANDARDS FOR ALL MEDICAL, SOCIOECONOMIC,
23 AND FINANCIAL ASPECTS OF CLINICAL TRIALS AND THERAPY
24 DELIVERY TO PATIENTS, OVERSIGHT OF FUNDED RESEARCH TO
25 ENSURE COMPLIANCE WITH THE STANDARDS, AND OVERSEEING

BARRISTERS' REPORTING SERVICE

1 RELEVANT ETHICAL AND REGULATORY ISSUES.

2 OTHER PROVISIONS, WE PROHIBIT HUMAN
3 REPRODUCTIVE CLONING. THERE IS AN ANNUAL REPORT
4 INCLUDING GRANT INFORMATION, AND THAT'S AVAILABLE
5 ONLINE. WE'VE RECENTLY POSTED THE ANNUAL REPORT FROM
6 THE FIRST YEAR.

7 RESTRICTIONS ON AMOUNT OF FUNDING DIRECTED TO
8 ANY SINGLE INSTITUTION, RESTRICTION ON VOTING IN TERMS
9 OF CONFLICT OF INTEREST, SUBJECT TO OPEN MEETINGS LAW,
10 AS I MENTIONED. WE SERVE WITHOUT COMPENSATION. AND WE
11 ESTABLISH STANDARDS FOR WORKING ON THIS FOR PATENT
12 ROYALTIES, LICENSE REVENUES, ETC. WE'VE HAD A NUMBER
13 OF MEETINGS. AND AS I MENTIONED, THEY'RE WEBCAST AND
14 MINUTES ARE POSTED.

15 WE DEVELOPED A STRATEGIC PLAN. AGAIN, THIS
16 IS BACKGROUND. AND WE LOOKED AT THE CALIFORNIA
17 STRATEGIC PLAN, WHICH WAS VERY HELPFUL IN OUR THINKING
18 THROUGH WHAT WE WOULD DO. AND WE WERE VERY ACTIVELY
19 INVOLVED IN DOING THAT AND GOT A LOT OF INPUT FROM
20 OTHERS AROUND THE STATE, ETC.

21 OUR OVERALL EXPENDITURES, AND, AGAIN, WE
22 POSTED THIS, FOR THE FIRST FIVE YEARS \$300 MILLION,
23 PRETTY SELF-EXPLANATORY. ELSIE, FOLLOWING THE HUMAN
24 GENOME RESEARCH INSTITUTE, WHICH HAS AN ELSI PROGRAM
25 LOOKING AT ETHICAL, LEGAL, SOCIAL IMPLICATIONS OF THE

BARRISTERS' REPORTING SERVICE

1 HUMAN GENOME PROJECT. WE'VE DONE THAT AS WELL AND
2 ADDED AN E FOR EDUCATION AS WELL, AND A FEW OF US
3 PUSHED TO HAVE A CERTAIN AMOUNT OF MONEY ALLOCATED FOR
4 THOSE PURPOSES.

5 THE ELSIE, OUR MISSION IS TO ENSURE THAT STEM
6 CELL RESEARCH IN NEW YORK STATE ADHERES TO THE HIGHEST
7 STANDARDS OF MEDICAL ETHICS AND THAT ETHICAL, LEGAL,
8 SOCIAL, AND PSYCHOLOGICAL IMPLICATIONS OF ADVANCES IN
9 STEM CELL RESEARCH ARE APPROPRIATELY ADDRESSED BY
10 ENGAGING DIVERSE COMMUNITIES IN RESEARCH, SCHOLARSHIP,
11 AND EDUCATION ON THESE ISSUES. WE'VE WORKED VERY HARD
12 TO FOLLOW THIS MISSION. OUR GOALS ARE TO EVALUATE
13 ETHICAL, SCIENTIFIC, MEDICAL, LEGAL, AND SOCIAL ISSUES
14 RELATED TO STEM CELL RESEARCH AND ESTABLISH ETHICAL
15 STANDARDS, SUPPORT RESEARCH AND SCHOLARSHIP ON ELSIE
16 ISSUES AS THEY RELATE TO STEM CELL RESEARCH THAT WILL
17 HELP ADVANCE THE RESEARCH ITSELF AND ALSO INFORM PUBLIC
18 POLICY AND ENGAGE DIVERSE COMMUNITIES TO ENHANCE PUBLIC
19 UNDERSTANDING OF CRITICAL ELSIE ISSUES, AND PROVIDE
20 OPPORTUNITIES FOR EDUCATION ON STEM CELL RESEARCH AND
21 ITS IMPACT ON SOCIETY.

22 THERE'S ALSO EFFORTS THAT HAVE BEEN DONE BY
23 THE ADMINISTRATION THROUGH THE DEPARTMENT OF HEALTH TO
24 MAKE SURE THAT WE FOLLOW THE HIGHEST STANDARDS OF
25 ACCOUNTABILITY AND INTEGRITY ON BEHALF OF THE PEOPLE OF

BARRISTERS' REPORTING SERVICE

1 NEW YORK STATE. THEIR GOALS, JUST VERY BRIEFLY, ARE TO
2 MANAGE THE PROGRAM TO ENGENDER PUBLIC TRUST AND MAKE
3 SURE THAT WE FOLLOW ESTABLISHED PROCESSES TO ENSURE
4 RESPONSIBLE CONDUCT OF RESEARCH, PROMOTE PUBLIC ACCESS
5 TO INFORMATION ABOUT THE RESEARCH AND ALSO THE
6 ACTIVITIES OF THE BOARD. PUBLIC BENEFIT AND
7 ACCOUNTABILITY GOALS ARE VERY IMPORTANT. AND WE
8 ANNUALLY ASSESS THIS, EVALUATE IP, TECHNOLOGY TRANSFER,
9 FISCAL POLICIES, ETC.

10 AND THIS IS HOW MUCH WE'VE SPENT OVER TIME.
11 WE'RE MOVING ALONG ON THESE THINGS. I'M HAPPY TO MAKE
12 THESE AVAILABLE IF PEOPLE ARE INTERESTED. PARTLY IN
13 THE INTEREST OF TIME, I THOUGHT I'D MOVE AHEAD TO THE
14 MAIN REASON I WAS INVITED HERE IS TO TALK ABOUT OUR
15 DECISION CONCERNING COMPENSATION FOR OOCYTE DONATION.

16 AND THIS WAS SOMETHING WE ANNOUNCED THIS PAST
17 JUNE. IT FOLLOWS EXTENSIVE DELIBERATION WITH
18 CONSIDERATION OF NATIONAL AND INTERNATIONAL ETHICAL
19 STANDARDS AND MECHANISMS TO SAFEGUARD THE RIGHTS AND
20 WELFARE OF OOCYTE DONORS. AND I THINK THE IMPORTANT
21 POINT IS WE DID THIS AND DECIDED TO ADDRESS THIS ISSUE
22 BECAUSE THE LACK OF COMPENSATION TO WOMEN HAS CREATED
23 AND LIMITS THE PROGRESS OF STEM CELL RESEARCH. IN
24 OTHER WORDS, IF YOU LOOK ACROSS THE COUNTRY, SPEAKING
25 TO RESEARCHERS AT HARVARD, FOR INSTANCE, WHERE THEY

BARRISTERS' REPORTING SERVICE

1 SPENT A GREAT DEAL OF MONEY TRYING TO GET WOMEN TO
2 DONATE EGGS FOR STEM CELL RESEARCH, AFTER MANY YEARS
3 AND HUNDREDS OF THOUSANDS OF DOLLARS OF RECRUITING
4 EFFORTS, THEY FINALLY WERE ABLE TO GET JUST ONE PERSON
5 RECENTLY TO DONATE.

6 SIMILARLY ELSEWHERE, AS WE UNDERSTAND IT,
7 THERE HAVE BEEN EXTENSIVE EFFORTS TO HAVE WOMEN DONATE
8 EGGS. AND WITHOUT COMPENSATION THEY HAVE NOT BEEN
9 SUCCESSFUL. SO THE LACK OF COMPENSATION, ONE CAN
10 ARGUE, HAS, IN FACT, IMPEDED THE PROGRESS OF THE
11 SCIENCE. I THINK THAT THIS IS SOMETHING THAT IS
12 IMPORTANT TO BEAR IN MIND AS WE CONSIDER THIS ISSUE.

13 SO WE INTENSIVELY EXAMINED AND DISCUSSED
14 WHETHER IT IS ETHICALLY APPROPRIATE TO PROVIDE WOMEN
15 WHO DONATE OOCYTES TO STEM CELL RESEARCH WITH ANY FORM
16 OF REIMBURSEMENT. AND WHEN WOMEN DONATE THEIR OOCYTES
17 FOR REPRODUCTIVE PURPOSES, THAT IS FOR IVF, NEW YORK
18 STATE AND OTHER STATES AS WELL PERMIT REASONABLE
19 REIMBURSEMENTS FOR OUT-OF-POCKET EXPENSES, TIME, AND
20 THE AMOUNTS ARE CONSISTENT WITH THE GUIDELINES
21 DEVELOPED BY THE AMERICAN SOCIETY FOR REPRODUCTIVE
22 MEDICINE, ASRM, WHICH IN THEIR GUIDELINES BY THEIR
23 ETHICS GROUP SAY THAT SUMS OF 5,000 OR MORE REQUIRE
24 JUSTIFICATION AND SUMS ABOVE 10,000 ARE NOT
25 APPROPRIATE.

BARRISTERS' REPORTING SERVICE

1 IMPORTANT IN OUR THINKING WAS THE FACT THAT
2 THE RISKS ASSOCIATED WITH DONATING OOCYTES TO STEM CELL
3 RESEARCH ARE NOT MORE THAN THOSE ASSOCIATED WITH
4 REPRODUCTIVE DONATIONS. THEY'RE THE SAME. SO
5 BASICALLY IN THIS COUNTRY THROUGHOUT THE NATION COMMON
6 PRACTICE NOW IS THAT WOMEN WHO DONATE OOCYTES FOR
7 REPRODUCTIVE PURPOSES ARE COMPENSATED. THOSE WHO MIGHT
8 BE INTERESTED IN DONATING OOCYTES FOR RESEARCH ARE NOT
9 COMPENSATED.

10 AND IT SEEMS TO US, AFTER EXTENSIVE
11 DELIBERATION, TALKING TO MANY PEOPLE FROM ACROSS THE
12 COUNTRY, THAT THERE WAS NO PRINCIPLED REASON ONE CAN
13 ARGUE TO DISTINGUISH BETWEEN DONATION OF OOCYTES FOR
14 REPRODUCTIVE PURPOSES AND RESEARCH PURPOSES WHEN
15 DETERMINING THE EFFICALITY OF REIMBURSEMENT, THAT
16 DONATING OOCYTES TO STEM CELL RESEARCH ARGUABLY, IN
17 FACT, CONFERS A GREATER BENEFIT TO SOCIETY THAN DOES
18 OOCYTE DONATION FOR PRIVATE REPRODUCTIVE USE, AND THAT
19 POTENTIALLY THERE MAY BE THERAPIES THAT COULD BE
20 DEVELOPED THAT CAN HELP, ARGUABLY, HOPEFULLY, MILLIONS
21 OF PEOPLE WITH VARIOUS KINDS OF ILLNESSES, AS YOU KNOW.

22 THERE IS NO ETHICAL BASIS FOR DIFFERENT
23 PAYMENT POLICIES FOR WOMEN WHO DONATE OOCYTES TO STEM
24 CELL RESEARCH AND FOR PARTICIPANTS IN OTHER TYPES OF
25 HUMAN SUBJECT RESEARCH. IN OTHER WORDS, PEOPLE ARE

BARRISTERS' REPORTING SERVICE

1 INTERESTED IN BEING INVOLVED IN OTHER KINDS OF RESEARCH
2 WHO ARE USUALLY REIMBURSED FOR THEIR EFFORTS. AND
3 NATIONAL AND INTERNATIONAL CONSENSUS BODIES GENERALLY
4 HAVE FOUND IT ACCEPTABLE TO PROVIDE REASONABLE
5 COMPENSATION TO SUBJECTS IN HUMAN SUBJECTS RESEARCH TO
6 REMUNERATE THEM FOR THEIR TIME AND DISCOMFORT
7 ASSOCIATED WITH PARTICIPATION IN SUCH RESEARCH.

8 SO TO TREAT DIFFERENTLY WOMEN WHO DONATE
9 OOCYTES TO STEM CELL RESEARCH WOULD, WE FELT, BE UNJUST
10 AND WOULD Demean THE SIGNIFICANT CONTRIBUTION THAT
11 OOCYTE DONORS MAKE TO SOCIETY BY PARTICIPATING IN STEM
12 CELL RESEARCH. I SHOULD SAY, BY THE WAY, THERE ARE TWO
13 DOCUMENTS THAT WERE HANDED OUT IN ADVANCE FOR THE
14 MEETING. ONE IS A STATEMENT BY OUR BOARD WHICH
15 DISCUSSES MANY OF THESE POINTS AS WELL IF PEOPLE ARE
16 INTERESTED IN SEEING THIS MORE THOROUGHLY.

17 NOW, GRANTED, EXCESSIVELY HIGH PAYMENTS COULD
18 POTENTIALLY ACT AS AN UNDUE INFLUENCE OR INDUCEMENT TO
19 DONATE. BUT WE FELT REASONABLE REIMBURSEMENT COUPLED
20 WITH OTHER SAFEGUARDS IMPORTANTLY, WHICH I'LL TALK
21 ABOUT IN A MOMENT, PROTECT AGAINST THIS. PROHIBITING
22 REASONABLE PAYMENTS BECAUSE THEY MAY INTERFERE WITH THE
23 WOMAN'S ABILITY TO WEIGH THE RISKS AND BENEFITS OF
24 DONATION MAY, IN FACT, ALSO BE UNNECESSARILY
25 PATERNALISTIC, FEELING THAT WOMEN CANNOT EVALUATE THESE

BARRISTERS' REPORTING SERVICE

1 ISSUES FOR THEMSELVES. AGAIN, THESE ARE A SERIES OF
2 ETHICAL ARGUMENTS ON BOTH SIDES AS A RESULT OF WHICH WE
3 IN NEW YORK CAME OUT AS WE DID.

4 WE FELT THAT IT IS ETHICAL AND APPROPRIATE,
5 THEREFORE, FOR WOMEN DONATING OOCYTES FOR RESEARCH AND
6 FOR REPRODUCTIVE PURPOSES TO BE COMPENSATED IN THE SAME
7 WAY. WE DO NOT PERMIT PAYMENT FOR DONATION OF EXTRA
8 OOCYTES OR EMBRYOS FROM IVF'S CALLED LEFTOVER EMBRYOS,
9 AND THIS MEASURE AFFECTS ONLY DONATIONS OF OOCYTES FOR
10 STEM CELL RESEARCH. IMPORTANTLY, RIGOROUS REVIEWS BY
11 AN INSTITUTIONAL OVERSIGHT COMMITTEE, BOTH AN IRB AND
12 AN ESCRO, ARE REQUIRED TO ENSURE THAT NO UNDUE
13 INDUCEMENT OCCURS AND THAT NO CONSIDERATION IS GIVEN
14 FOR THE NUMBER OR QUALITY OF THE OOCYTES.

15 IMPORTANTLY ALSO IS THAT THERE IS ADHERENCE
16 TO ASRM'S GUIDELINES. AND IMPORTANTLY ALSO THERE IS
17 FULL DISCLOSURE, ALL THE SHORT-TERM AND LONG-TERM
18 RISKS, PHYSICAL AND PSYCHOLOGICAL RISKS, THAT IS, AND
19 BENEFITS, AND THAT THESE ARE FULLY DISCLOSED TO THE
20 DONOR. AND WE INCLUDED LANGUAGE BOTH IN THIS AND OUR
21 CONTRACT PROVISIONS FOR ANY RESEARCH THAT WE FUND THAT
22 INFORMED CONSENT IS OBTAINED THROUGH A DYNAMIC PROCESS,
23 NOT MERELY SIGNING A 20-PAGE FORM, BUT, IN FACT, THAT
24 THE PARTICIPANT IS ACTIVELY ENGAGED IN THE PROCESS AND
25 REALLY UNDERSTANDS WHAT'S INVOLVED, THE RISKS AND THE

BARRISTERS' REPORTING SERVICE

1 BENEFITS, AND THAT THERE IS AN AVAILABILITY OF
2 PSYCHOLOGICAL COUNSELING PRIOR TO DONATION IF IT'S FELT
3 TO BE NEEDED.

4 SO WE ARE CONFIDENT THAT PROCEDURES
5 IMPLEMENTED BY INSTITUTIONAL OVERSIGHT COMMITTEES, BOTH
6 IRB'S AND ESCRO'S, AS MANDATED BY LAW AND BY NYSTEM
7 CONTRACT REQUIREMENTS, WHICH WE'VE ALSO INSTITUTED, CAN
8 PROTECT AGAINST POTENTIAL EXPLOITATION OF DONORS AND
9 ENSURE EQUITABLE ACCESS TO OPPORTUNITIES TO PARTICIPATE
10 IN THE RESEARCH.

11 SO WHAT WE PERMIT ARE OUT-OF-POCKET EXPENSES
12 WHICH MAY INCLUDE COSTS ASSOCIATED WITH TRAVEL,
13 HOUSING, CHILDCARE, AND MEDICAL CARE, AND ALSO FOR THE
14 TIME BURDEN AND INCONVENIENCE ASSOCIATED WITH OOCYTE
15 DONATION IN AN AMOUNT CONSISTENT WITH NEW YORK STATE
16 STANDARDS FOR REPRODUCTIVE PURPOSES. AND THERE'S A
17 BODY OF LAW ABOUT THAT AND NOT TO EXCEED THE RANGE
18 PERMITTED BY ASRM.

19 SO I THOUGHT THAT I WOULD GIVE YOU A SENSE OF
20 SOME OF THE THINKING THAT WE WENT THROUGH, SOMETHING
21 ABOUT OUR BOARD AS A WHOLE. AND I'D BE HAPPY TO ANSWER
22 ANY QUESTIONS THAT YOU MIGHT HAVE. THANK YOU AGAIN.

23 CHAIRMAN LO: BOB, AGAIN, THANKS VERY MUCH
24 FOR COMING ALL THE WAY FROM NEW YORK. COULD I START
25 THE QUESTIONS BY ASKING YOU TO TALK ABOUT HOW YOU

BARRISTERS' REPORTING SERVICE

1 ENGAGED THE PUBLIC IN YOUR DELIBERATIONS AND IN THE
2 FINAL POLICY RECOMMENDED.

3 DR. KLITZMAN: SO AS I MENTIONED, ALL OF OUR
4 MEETINGS ARE PUBLIC. THEY'RE OPEN TO THE PUBLIC.
5 ANYONE CAN COME. THEY ARE ANNOUNCED WELL IN ADVANCE.
6 PEOPLE WHO HAVE COMMUNICATED ABOUT THIS ISSUE ARE
7 CONTACTED WHENEVER NEW MEETINGS ARE SET UP. ON OUR WEB
8 SITE WE HAVE ANNOUNCEMENTS WELL IN ADVANCE. AGAIN,
9 ANYONE IS INVITED TO ATTEND AND PEOPLE DO ATTEND. WE
10 ALSO HAVE ALL OF OUR MEETINGS WEBCAST. ALL OF OUR
11 EFFORTS ARE OPEN TO PUBLIC COMMENT, AND WE GET PUBLIC
12 COMMENTS ON MANY OF THE THINGS THAT WE DO THAT ARE THEN
13 SHARED WITH MEMBERS OF THE COMMITTEE. AND MINUTES ARE
14 AVAILABLE. WE GET COMMENTS ON THOSE AS WELL.

15 CHAIRMAN LO: IF I COULD JUST ASK A LITTLE
16 MORE. SO ARE YOU PART OF A FORMAL REGULATORY PROCESS
17 WHERE YOU PUT OUT A POLICY PROPOSAL OR IN OUR CASE WITH
18 THE REGULATION, AND THERE'S A FORMAL COMMENT PERIOD AND
19 A FORMAL PROCESS BY WHICH YOU HAVE TO RESPOND TO
20 COMMENTS?

21 AND SECOND QUESTION IS AT YOUR MEETINGS, DO
22 YOU INVITE MEMBERS OF THE PUBLIC TO -- IS THERE AN
23 OPPORTUNITY FOR MEMBERS OF THE PUBLIC TO ACTUALLY
24 TESTIFY AT MEETINGS AS ISSUES ARE BEING DISCUSSED?

25 DR. KLITZMAN: WE HAVE NOT HAD PUBLIC

BARRISTERS' REPORTING SERVICE

1 TESTIMONY. IN OTHER WORDS, PEOPLE HAVE -- WE'VE
2 RECEIVED LETTERS FROM VARIOUS GROUPS AND CONCERNED
3 CITIZENS, AND WE THEN OR THE STAFF LOOKS AT THOSE, AND
4 WE OFTEN DO AS WELL, DEPENDING ON WHAT THEY ARE. IN
5 THIS CASE, AS I RECALL, WE DID HAVE A PERIOD FOR PUBLIC
6 COMMENT ON ISSUES CONCERNING COMPENSATION. AND SO WE
7 DID HAVE ABOUT A MONTH AND A HALF, TWO MONTHS IN WHICH
8 PEOPLE WERE WELCOME TO COMMUNICATE TO US ABOUT THAT.

9 CHAIRMAN LO: OTHER COMMENTS, QUESTIONS?

10 MS. LANSING: I APOLOGIZE. SO I HOPE THIS
11 WASN'T COVERED IN YOUR PRESENTATION. WE, OF COURSE,
12 HAVE A LAW THAT SAYS WE CAN'T DO SOME OF THIS. WHAT
13 I'M REALLY CURIOUS ABOUT ON BEHALF OF A LOT OF PEOPLE
14 IS HAVE YOU HAD ANY PUSHBACK AGAINST -- I DON'T KNOW
15 HOW TO SAY IT POLITELY -- AGAINST THE EXPLOITATION OF
16 WOMEN WHO WOULD NEED TO DO THIS FOR MONEY FOR ECONOMIC
17 REASONS?

18 DR. KLITZMAN: TWO ISSUES. I SHOULD CLARIFY
19 OF THE ELEVEN MEMBERS OF THE ETHICS BOARD, THERE WERE
20 TEN VOTED IN FAVOR OF IT AND ONE, FATHER BERG, VOTED
21 AGAINST IT, JUST TO SET THAT. AND HE HAS EXPRESSED HIS
22 OPINIONS AS WELL IN WRITING. IN THE MINUTES IT RECORDS
23 HIS STATEMENT.

24 I SHOULD SAY THAT WE HAVE HAD A DIVERSE RANGE
25 OF VIEWS ON THE COMMITTEE. SO WE HAVE, IN ADDITION TO

BARRISTERS' REPORTING SERVICE

1 FATHER BERG, DR. SULMASY, AS I MENTIONED, HAS WRITTEN
2 EXTENSIVELY ON THEOLOGICAL PERSPECTIVES IN MEDICINE.
3 WE HAVE REVEREND HUGH MAYNARD-REID, WHO IS A MINISTER
4 AS WELL, WHO'S A MEMBER, VERY ACTIVE MEMBER, OF THE
5 COMMITTEE. AND WE HAD A MONSIGNOR WHO UNFORTUNATELY
6 PASSED AWAY ABOUT SIX MONTHS AGO, BUT HAD BEEN PART OF
7 THESE DISCUSSIONS UP TO THAT POINT.

8 IN TERMS OF THE ISSUE OF EXPLOITATION OF
9 WOMEN, WE FELT THAT, AND THIS MAY BE CONTROVERSIAL, BUT
10 I'M HAPPY TO ENGAGE THE TOPIC. SO CURRENTLY, AS I
11 SAID, FOR REPRODUCTIVE PURPOSES, THERE IS THROUGHOUT
12 THIS COUNTRY THOUSANDS OF WOMEN WHO DECIDE TO DONATE
13 OOCYTES AND ARE COMPENSATED FOR THAT. AND SO FAR AS I
14 KNOW, THERE'S NO EVIDENCE WHATSOEVER THAT THERE'S ANY
15 EXPLOITATION OR COERCION GOING ON. SO THE NOTION THAT
16 COERCION OR EXPLOITATION COULD POTENTIALLY HAPPEN,
17 GRANTED, BUT SO FAR, GIVEN THAT THIS HAS ALREADY BEEN
18 OCCURRING FOR SEVERAL YEARS, THOUSANDS OF WOMEN, EVERY
19 STATE IN THE COUNTRY, SO FAR AS I KNOW, IS HAVING THIS
20 GO ON. AND THERE'S NO EVIDENCE OF EXPLOITATION OR
21 COERCION. SO I THINK THAT'S IMPORTANT TO UNDERSTAND.

22 ONE OTHER POINT. WE HAVE ALSO ENCOURAGED
23 RESEARCHERS TO, IN FACT, FOLLOW TO SEE WOMEN WHO COME
24 FORWARD TO SEE IF THERE IS EVEN THE REMOTEST SIGN OF
25 THAT.

BARRISTERS' REPORTING SERVICE

1 IN ADDITION, I WOULD SAY, AND THERE WAS AN
2 ARTICLE THAT I WROTE THAT WAS ALSO DISTRIBUTED WHICH
3 TALKS A LITTLE BIT ABOUT THIS, BUT IT'S ESTIMATED THE
4 AMOUNT OF TIME THAT IS INVOLVED FOR A WOMAN TO DO THIS,
5 TO BE GETTING HORMONES, TO UNDERGO A SMALL, BUT THERE
6 IS A RISK OF OVARIAN HYPERSTIMULATION SYNDROME, IT'S
7 ABOUT, SAY, 56 HOURS IT'S BEEN ESTIMATED IN THE
8 LITERATURE. SO IF YOU PAY, SAY, AND IT'S ESTIMATED,
9 THAT FOR SPERM DONATION, WHICH IS 75 OR A HUNDRED
10 DOLLARS, LET'S CALL IT, FOR AN HOUR, THAT IN OTHER
11 WORDS WHAT WE WOULD BE DOING IS PAYING OOCYTE DONORS
12 THE SAME PER HOUR RATE AS WE NOW PAY SPERM DONORS. IN
13 OTHER WORDS, ONE CAN ARGUE, WELL, IS THERE EXPLOITATION
14 OF SPERM DONORS AT \$75 AN HOUR? ONE DOESN'T HEAR ABOUT
15 THAT.

16 MS. CHARO: THEY'RE HAVING MORE FUN.

17 DR. KLITZMAN: WELL, WE SHOULD ARGUE WE
18 SHOULD THEREFORE PAY THEM LESS ACTUALLY, RIGHT? SO YOU
19 WOULD ARGUE THAT WE SHOULD PAY EGG DONORS EVEN MORE,
20 BUT WE'RE PAYING THEM SORT OF EQUIVALENTLY. SO THAT
21 WAS SOMETHING ELSE THAT I THINK IS INTERESTING JUST TO
22 TAKE NOTE OF. AND, AS ALTA CHARO KNOWS AND BERNIE LO
23 AND MANY OF YOU ALSO KNOW, THERE'S AN EXTENSIVE
24 LITERATURE ABOUT UNDUE INDUCEMENT. AND, OF COURSE,
25 THERE'S NO DEFINITION OF UNDUE INDUCEMENT OR UNDUE

BARRISTERS' REPORTING SERVICE

1 INFLUENCE IN THE REGULATIONS AT ALL. IT'S NOT CLEAR
2 WHAT THEY ARE, WHAT THEY MEAN, AT WHAT POINT IS
3 SOMETHING UNDUE INDUCEMENT IS AN ALSO UNKNOWN. THERE
4 HAVE BEEN SOME WHO HAVE ARGUED VERY STRENUOUSLY.
5 EZEKIEL EMANUAL, FOR INSTANCE, HAS WRITTEN THAT --
6 WROTE AN ARTICLE "UNDUE INDUCEMENT: NONSENSE ON
7 STILTS," SAYING THAT THIS IS REALLY -- SO THERE'S A LOT
8 OF OVERCONCERN.

9 BUT BE THAT AS IT MAY, OUR SENSE WAS THAT
10 THIS DID NOT SEEM -- THERE WAS NOT EVIDENCE THAT THIS
11 WAS OCCURRING PRESENTLY; AND, THEREFORE, WE WERE LESS
12 CONCERNED ABOUT IT GOING FORWARD.

13 MS. LANSING: WHAT'S THE AVERAGE -- I MEAN I
14 DON'T KNOW THIS -- BUT PAYMENT FOR WOMEN FOR
15 REPRODUCTIVE RIGHTS?

16 DR. KIESSLING: FOR EGG DONORS, YOU MEAN?

17 MS. LANSING: YEAH.

18 DR. KLITZMAN: IT FOLLOWS ASRM'S GUIDELINES.
19 SO MORE THAN \$5,000 IS -- I CAN READ YOU THE EXACT LINE
20 HERE.

21 DR. TAYLOR: I THINK THEY THINK -- THE ANSWER
22 ON THE GUIDELINES WOULD SUGGEST THAT MORE THAN \$5,000
23 REQUIRES SOME SCRUTINY, AND IT SHOULD NEVER BE MORE
24 THAN \$10,000. BUT I WOULD GUESS THAT THE AVERAGE IS
25 PROBABLY IN THE \$8,000 RANGE.

BARRISTERS' REPORTING SERVICE

1 MS. LANSING: I HEARD THAT. THAT'S HOW YOU
2 ARRIVED AT YOUR NUMBERS THEN.

3 DR. KLITZMAN: YES. WE FOLLOWED WHAT THEY
4 SAID, WHICH IS SUMS OF 5,000 OR MORE REQUIRE
5 JUSTIFICATION AND SUMS ABOVE 10,000 ARE NOT
6 APPROPRIATE.

7 MS. LANSING: THAT'S THE PART I MISSED.
8 THANK YOU VERY MUCH.

9 DR. KLITZMAN: YOU'RE WELCOME.

10 DR. TAYLOR: BOB, I ACTUALLY HAVE A QUESTION
11 ABOUT THAT. AND I REALLY -- I LIKE THE WAY YOU
12 DEVELOPED THE ARGUMENT THAT DONATION IS GOING ON
13 ESSENTIALLY IN THE PRIVATE KIND OF THE INFERTILITY
14 SECTOR AND ALSO THE PARALLEL ARGUMENT THAT WE DO
15 COMPENSATE OTHER EXPERIMENTAL SUBJECTS, HUMAN SUBJECTS,
16 FOR PARTICIPATION IN TRIALS OR STUDIES SUCH AS THIS.
17 AND MARK SAUER, WHO I KNOW IS YOUR COLLEAGUE, HE
18 ACTUALLY CAME AND PARTICIPATED WITH US ABOUT A YEAR AND
19 A HALF AGO IN A PANEL TO DISCUSS SOME OF THESE ISSUES.

20 I THINK HE WAS IMPLYING THAT OVER THE LAST
21 SEVERAL MONTHS YOUR AVERAGE IS PROBABLY PRETTY CLOSE TO
22 ABOUT \$8,000 FOR COMPENSATION FOR A DONOR. IS THAT
23 ABOUT RIGHT?

24 DR. KLITZMAN: COMPENSATION, I SHOULD SAY,
25 VARIES IN REGION OF THE COUNTRY. I THINK AROUND NEW

BARRISTERS' REPORTING SERVICE

1 YORK IT IS ABOUT 8,000, YES.

2 DR. TAYLOR: SO I WAS JUST KIND OF CURIOUS.
3 ARE THERE OTHER EXAMPLES WHERE RESEARCH SUBJECTS ARE
4 COMPENSATED AT THAT KIND OF A LEVEL FOR OTHER TYPES OF
5 CLINICAL TRIALS OR PRECLINICAL KINDS OF TRIALS THAT
6 WHEN YOU KIND OF SCANNED THE LITERATURE TO SORT OF
7 BUILD THIS, WAS THERE -- CLEARLY WE KNOW -- AND, IN
8 FACT, EIGHT TO \$10,000, THERE CERTAINLY ARE PLACES
9 WHERE YOU GET \$20,000 FOR EGG DONATION.

10 DR. KLITZMAN: RIGHT. SO THERE'S A SMALL
11 UNFORTUNATELY LITERATURE ON HOW MUCH DO RESEARCH
12 PARTICIPANTS IN STUDIES OUTSIDE OF STEM CELL
13 RESEARCHERS, IN GENERAL HOW MUCH DO THEY GET PAID. AND
14 THE ANSWER IS IN THE LITERATURE WE DON'T KNOW EXACTLY,
15 WHICH IS DISAPPOINTING. BUT THERE ARE MANY OTHER
16 STUDIES THAT DO PAY. LET'S SAY FOR ARGUMENT SAKE THAT
17 THIS WOULD BE \$80 AN HOUR. THERE ARE MANY STUDIES THAT
18 PEOPLE GET PAID \$80 AN HOUR. FOR INTERVIEW STUDIES,
19 JUST AN INTERVIEW STUDY THAT WE DO, IN NEW YORK CITY WE
20 USUALLY OFTEN PAY \$60 AN HOUR. SO DEPENDING ON THE
21 NATURE, THE CONTENT OF THE INFORMATION, AND MANY OTHER
22 THINGS.

23 SO TO ME IT SEEMS THAT THAT IS WITHIN THE
24 BALLPARK OF OTHER STUDIES WHERE THERE ARE RISKS.
25 YOU'RE ASKING A WOMAN TO UNDERGO QUITE A BIT OF TIME

BARRISTERS' REPORTING SERVICE

1 AND BURDEN TO UNDERGO CERTAIN RISKS. NOW, MANY WOMEN
2 WANT TO DO THIS. THEY SAY, YOU KNOW, "GEE, WE HAVE
3 PARKINSON'S IN MY FAMILY, OR THERE'S DIABETES IN MY
4 FAMILY. AND IF I COULD BE INVOLVED IN THIS, I'D LIKE
5 TO DO IT. BUT YOU KNOW IT'S A LOT OF TIME, SO WHY IS
6 IT THAT I'M NOT BEING PAID FOR THIS? IF I GO THROUGH
7 THE SAME PROCEDURE OVER HERE IN YOUR HOSPITAL, I'M PAID
8 \$8,000; BUT IF I WANT TO DO IT FOR RESEARCH AND HELP MY
9 FAMILY, I'M NOT PAID AT ALL. THAT'S NOT FAIR." SO I
10 WOULD ARGUE THAT THE \$80 IS WELL WITHIN THE BALLPARK OF
11 OTHER STUDIES IN WHICH THERE IS SOMETHING INVASIVE
12 GOING ON.

13 MS. CHARO: I SUSPECT MANY PEOPLE HERE HAVE
14 SERVED ON IRB'S OVER THE YEARS. I'VE CERTAINLY PUT IN,
15 I DON'T KNOW, 10, 15 YEARS ON THEM. FOR WHAT IT'S
16 WORTH, AND THIS IS ANECDOTAL AND IT'S ONLY FROM ONE IRB
17 IN THE MIDWEST, THE ONLY TIME I SAW SUM TOTALS THAT
18 CAME UP INTO THE THOUSANDS LIKE THIS WAS FOR INPATIENT
19 STUDIES OR REPEAT VISIT STUDIES THAT INVOLVED REPEAT
20 BLOOD DRAWS OR EVEN BONE MARROW SAMPLES. BUT I DID SEE
21 THOSE NUMBERS FOR STUDIES LIKE THAT, NOT FREQUENTLY
22 BECAUSE MOST OF THE TIME YOU DIDN'T HAVE THAT MANY
23 STAYS. BUT THAT WAS THE ONE TIME YOU'D BEGIN TO SEE
24 THESE KINDS OF NUMBERS WAS FOR INVASIVE, BUT REPEATED.
25 SO IT WASN'T JUST THE HOURLY RATE. IT IS ALSO ABOUT

BARRISTERS' REPORTING SERVICE

1 THE SUM TOTAL OF TIME AND DISCOMFORT AND RISK.

2 SO IT HAPPENS. IT'S JUST THAT THERE AREN'T
3 THAT MANY RESEARCH PROTOCOLS THAT REQUIRE IT, SO YOU
4 DON'T SEE IT VERY OFTEN, AT LEAST IN MY EXPERIENCE.

5 DR. TAYLOR: BONE MARROW SAMPLING, THAT'S
6 ACTUALLY A GOOD ANALOGY. THAT IS A GOOD ONE.

7 DR. KLITZMAN: IF I CAN JUST SAY TWO THINGS
8 ON THAT. THERE'S INTERESTING LITERATURE, AS I
9 MENTIONED, ON PAYMENT. SO DENISE GRADY -- CHRISTINE
10 GRADY, WHO'S AT NIH, HAS WRITTEN WHAT KIND OF MODEL
11 SHOULD WE USE. AND SHE ARGUES THAT A WAGE MODEL,
12 THINKING HOW MUCH PER HOUR, IS SOMETHING THAT WE SHOULD
13 SERIOUSLY CONSIDER DOING.

14 IN ADDITION, I SHOULD SAY JUST BY WAY OF
15 BACKGROUND, I DID A STUDY RECENTLY, I'VE BEEN DOING A
16 STUDY OF HOW IRB'S MAKE DECISIONS. AND I STARTED THIS
17 BY GOING TO, A LITTLE BIT OF AN ANECDOTE, BUT I WENT TO
18 SOUTH AFRICA TO DO STUDIES OF IRB MEMBERS THERE. AND I
19 ASKED THERE MUST BE BIG CULTURAL DIFFERENCES THAT
20 HAPPEN. WHAT ARE THE BIGGEST ISSUES YOU DEAL WITH?
21 AND THEY SAID, WELL, THE BIGGEST ISSUE WE DEAL WITH IS
22 HOW MUCH TO PAY SUBJECTS BECAUSE IF WE PAY THEM THE
23 SAME AS YOU DO, WE'RE COERCING THEM. IF WE PAY LESS,
24 WE'RE EXPLOITING THEM. WHAT DO WE DO?

25 SO I WENT BACK AND I LOOKED AT THE LITERATURE

BARRISTERS' REPORTING SERVICE

1 AND FOUND THAT THERE'S BASICALLY NOTHING WRITTEN ON
2 THIS. THERE'S VERY FEW ARTICLES THAT ACTUALLY LOOK AT
3 THIS, SO I KNOW THIS LITERATURE. SO I THEN HAD THESE
4 TWO SUMMER STUDENTS TWO YEARS AGO. AND I SAID, "WHY
5 DON'T WE JUST LOOK UP, LET'S PICK TWO OR THREE FIELDS
6 AND LOOK AT ALL THE ARTICLES PUBLISHED IN THE PAST
7 YEAR, AND WHAT DO THEY SAY ABOUT HOW MUCH THEY PAY?"
8 OVER 85 PERCENT OF PUBLISHED ARTICLES IN A VARIETY OF
9 FIELDS MAKE NO MENTION OF HOW MUCH THEY, IN FACT, PAID
10 PEOPLE, TO GIVE YOU AN EXAMPLE. SO WE DON'T KNOW.

11 DR. TAYLOR: I'VE NEVER SAID IN A STUDY THAT
12 I PUBLISHED.

13 DR. KLITZMAN: SO IT'S SORT OF LIKE A DIRTY
14 LITTLE SECRET. NO ONE REALLY TALKS ABOUT IT. IN FACT,
15 AS ALTA MENTIONED, 56 HOURS IS MORE THAN -- THERE ARE
16 VERY FEW STUDIES THAT COME CLOSE TO THAT, BUT THESE
17 AMOUNTS ARE INVOLVED IN SUCH STUDIES.

18 CHAIRMAN LO: OTHER COMMENTS? JEFF AND THEN
19 DOROTHY.

20 MR. SHEEHY: I GUESS, MAYBE IF I COULD HAVE A
21 LITTLE DIALOGUE BECAUSE IT TROUBLES ME THAT YOU TALK
22 ABOUT PEOPLE DONATING TO HELP PEOPLE WITH PARKINSON'S
23 IN THEIR FAMILY OR DIABETES. AND I HAVE TROUBLE
24 UNDERSTANDING THE NECESSITY WITH INDUCED PLURIPOTENT
25 SOMATIC CELLS. I DON'T DISAGREE NECESSARILY WITH

BARRISTERS' REPORTING SERVICE

1 PAYING. THAT SEEMS TO ME A DIFFERENT ISSUE. BUT YOU
2 KIND OF IMPLIED THAT IN SOME WAY CLONING IS GOING TO BE
3 THE MAGIC BULLET IN STEM CELL RESEARCH. I THINK IT'S
4 KIND OF BECOME SOMEWHAT OF A BACKWATER. AND TO SUGGEST
5 THAT THE NECESSITY OF OBTAINING A LARGE NUMBER OF EGGS
6 TO DO CLONING EXPERIMENTS IS NECESSARY TO MOVE THE STEM
7 CELL FIELD FORWARD DRAMATICALLY FOR PATIENTS WHOSE
8 FAMILIES ARE AFFECTED BY THESE DISEASES SEEMS -- I'M
9 NOT SURE I UNDERSTAND AND MAYBE ALAN CAN TALK ABOUT HOW
10 MANY EGGS ARE NEEDED.

11 AND ALSO THERE'S THIS COMMODIFICATION ISSUE
12 FOR ME BECAUSE HOW MANY EGGS ARE ACTUALLY NEEDED TO DO
13 ONE OF THESE EXPERIMENTS? AND WE STILL HAVE NOT
14 SUCCESSFULLY BEEN ABLE TO DO A HUMAN CLONING
15 EXPERIMENT. AND SO MY UNDERSTANDING IS THAT THE
16 PURPOSE FOR SOMATIC CELL NUCLEAR TRANSFER WAS TO CREATE
17 A DISEASE MODEL, AND WE'RE CREATING DISEASE MODELS LEFT
18 AND RIGHT WITH IPS CELLS.

19 AND THE SECOND PART WAS PERSONALIZED
20 MEDICINE. AND SEVERAL FOLKS, I THINK, ARE GETTING
21 CLOSER AND CLOSER TO THE FDA IN TERMS OF BEING ABLE TO
22 DO CLINICAL TRIALS. I THINK WE'RE STILL SEVERAL YEARS
23 AWAY, BUT USING IPS CELLS TO DO CLINICAL TRIALS.

24 SO I MEAN HOW MUCH DID YOU REALLY THINK ABOUT
25 HOW NECESSARY IT WAS TO GET THE TYPES? AND TO DO ONE

BARRISTERS' REPORTING SERVICE

1 EXPERIMENT, ALAN, HOW MANY EGGS DOES IT TAKE TYPICALLY
2 WITH THE STATE OF THE SCIENCE NOW?

3 DR. TROUNSON: WELL, IT'S A LITTLE HARD TO
4 KNOW BECAUSE IN THE MONKEY, I THINK IT IS TAKING AROUND
5 A HUNDRED OR SOMEWHERE BETWEEN A HUNDRED AND 150 EGGS,
6 I THINK, LAST COUNT, TO DERIVE AN EMBRYONIC STEM CELL
7 LINE. SINCE THAT'S NOT HAPPENED IN THE HUMAN, CLEARLY
8 WE DON'T KNOW WHETHER IT WOULD TAKE MORE OR LESS. BUT
9 ONE WOULD ASSUME THAT FROM THE WORK IN OTHER SPECIES,
10 AND JOSE IS REALLY THE EXPERT HERE, ONE WOULD THINK
11 THAT IT'S A LARGE NUMBER OF EGGS. AND ANYTHING OVER
12 20, 40 EGGS IS A VERY LARGE NUMBER OF EGGS FOR ANY
13 PURPOSE.

14 SO IN THE SENSE THAT WHAT YOU ARE ALLUDING TO
15 IS AN ISSUE FOR US, THAT THE REVIEWERS, FOR EXAMPLE,
16 HAVEN'T FELT THAT THIS IS A COMPELLING ARGUMENT ON THE
17 SCIENCE SIDE. WHETHER THAT'S BECAUSE THERE ARE IPS
18 CELLS THERE OR THE COMPELLING ARGUMENT IS INGRAINED IN
19 SOME OTHER ASPECTS OF THE PROPOSED WORK, THE REVIEWERS
20 FOR CIRM REALLY HAVEN'T BROUGHT ANY OF THOSE PROJECTS
21 EXCEPT IN THE EARLY DAYS FORWARD FOR RECOMMENDED FOR
22 FUNDING.

23 THE BRITISH GROUPS ALSO HAVE THE SAME. THEY
24 HAVE AN ISSUE WHERE THEY HAVE BEEN VERY STRONGLY
25 COMMITTED TO NUCLEAR TRANSFER AND CONTINUE AT THE

BARRISTERS' REPORTING SERVICE

1 UNIVERSITY OF NEWCASTLE TO DO IT IN THE HUMAN, BUT THEY
2 ALSO NOW HAVE A NUMBER OF GROUPS WHO ARE WORKING WITH
3 ANIMAL EGGS AND HUMAN CELLS IN ORDER TO TRY AND OBTAIN
4 MATERIAL MADE WITH SPECIES OTHER THAN THE HUMAN WHERE
5 EGGS ARE MORE AVAILABLE, FOR EXAMPLE, THE COW OR THE
6 PIG, AND I THINK BEEN TOTALLY UNSUCCESSFUL AT THIS
7 STAGE. AND SO TO THE WORK ON NUCLEAR TRANSFER, THE
8 HUMAN WORK IN NEWCASTLE HAS NOT BEEN SUCCESSFUL. AND
9 THEY ALSO TRIED USING THE MONKEY PROCEDURES THERE WITH
10 THE SCIENTISTS THAT WENT FROM OREGON TO NEWCASTLE.

11 SO IN MANY RESPECTS IT'S AN ISSUE FOR US THAT
12 IS NOT NECESSARILY COMPELLING AT THE MOMENT BECAUSE
13 WE'RE NOT BEING FORCED TO ADDRESS IT THROUGH HAVING
14 GRANTS BEING AWARDED IN THE AREAS AND NOT REALLY RISING
15 TO THE UPPER, HIGHER PERCENTAGE OF GRANTS AS CONSIDERED
16 SCIENTIFICALLY.

17 MR. SHEEHY: SO WE'VE ONLY FUNDED ONE SCNT
18 GRANT, I THINK. SO I GUESS, JUST TO KIND OF FINISH UP,
19 HOW DO YOU AVOID -- AND I'VE HEARD IN EARLIER
20 DISCUSSIONS THAT REALLY, I MEAN, IF YOU'RE NOT JUST
21 GIVING A LOT OF HORMONE, PROBABLY ABOUT A DOZEN, 15
22 EGGS IS WHAT YOU CAN EXPECT. HOW DO YOU AVOID THE
23 COMMODIFICATION OF HUMAN OOCYTES? WHEN YOU NEED TO GET
24 PROBABLY TEN DONORS FOR ONE EXPERIMENT, HOW DO YOU MAKE
25 SURE THAT YOU ACTUALLY ENSURE SAFETY FOR THE WOMEN

BARRISTERS' REPORTING SERVICE

1 INVOLVED, THAT YOU'RE NOT GIVING THEM A LOT OF HORMONES
2 SO THAT YOU NEED FEWER WOMEN AT \$8,000 A POP? A LITTLE
3 MORE HORMONE IS CHEAPER THAN ANOTHER DONOR BROUGHT IN.
4 AT THE END OF THE DAY, IF THE ONLY SUCCESS FOR YOUR
5 EXPERIMENT IS THAT YOU MANAGED TO PERFECT HUMAN
6 CLONING, IS THAT REALLY WORTH IT?

7 DR. KLITZMAN: THANK YOU FOR YOUR COMMENTS.
8 I HAVE SEVERAL RESPONSES TO THAT. IN TERMS OF IPS, AS
9 I MENTIONED, HAROLD VARMUS ON OUR BOARD AND GERRY
10 FISCHBACH, WHO IS FORMERLY HEAD OF THE NATIONAL
11 INSTITUTE OF NEUROLOGICAL DISEASES, BOTH ARGUED VERY
12 STRONGLY, AS DID OTHER SCIENTISTS WE HAD SPEAK, THAT
13 IT'S NOT ENOUGH TO SAY AT THIS POINT WE WILL ONLY DO
14 IPS RESEARCH. IPS RESEARCH, AS YOU KNOW, HAS SO FAR
15 LED TO NO THERAPY, NO TREATMENT FOR ANYONE. AND IT'S
16 PREMATURE TO SAY THAT THIS IS THE ROAD TO HAVING
17 SUCCESSFUL TREATMENTS OR THERAPIES BE DEVELOPED.

18 RATHER, THEY ARGUE, AND I WAS PERSUADED BY
19 THEIR ARGUMENTS, THAT WE NEED TO LOOK AT BOTH
20 POSSIBILITIES, BOTH SCNT AS WELL AS IPS, GIVEN THAT
21 NEITHER HAS YET DEVELOPED TREATMENTS. BOTH MAY BE ABLE
22 TO EXPAND OUR UNDERSTANDING OF THE ISSUES SO THAT WE
23 CAN DEVELOP THE MOST EFFECTIVE TREATMENTS FOR AS MANY
24 ILLNESSES AS POSSIBLE. SO I WOULD ARGUE THAT IT'S NOT
25 ENOUGH. I PERSONALLY WOULD ARGUE, FOLLOWING WHAT

BARRISTERS' REPORTING SERVICE

1 HAROLD VARMUS, FORMERLY HEAD OF NIH, AS YOU KNOW, AND
2 GERRY FISCHBACH HAVE SAID. ANYWAY, SO I WOULD SAY THAT
3 IT'S -- SCIENTIFICALLY THAT IT'S PREMATURE TO SAY IPS
4 WILL BE THE ANSWER.

5 NOW, YOU CAN SAY, ANYONE CAN SAY, WELL, WE'RE
6 ONLY GOING TO FUND IPS. THAT'S FINE. THERE ARE PEOPLE
7 WHO SAY WE'RE ONLY GOING TO FUND THIS KIND OF RESEARCH
8 OR THAT KIND OF RESEARCH. I SHOULD SAY IN NEW YORK,
9 WE'RE NOT MANDATING THAT RESEARCHERS ALL GO DO SCNT
10 RATHER THAN IPS. FAR FROM IT. WHAT WE'RE DOING IS
11 SAYING THAT IF THERE ARE RESEARCHERS WHO HAVE
12 SCIENTIFIC PROJECTS THAT THEY ARE SEEKING FUNDING FOR
13 TO DO SCNT IN WHICH THEY WOULD LIKE TO EXPLORE SCNT AND
14 SEE WHAT'S POSSIBLE AND THEY FIND THAT THEY'VE NOT BEEN
15 ABLE TO GET DONORS FOR FREE, THAT WE ARE ALLOWING THEM
16 TO COMPENSATE DONORS. AND THAT'S ALL WE'RE DOING. SO
17 WE'RE NOT SAYING THIS IS INSTEAD OF IPS. WE'RE NOT
18 FORCING THIS DOWN THE THROAT OF RESEARCHERS, SAYING
19 YOU'RE ALL GOING TO BE OUT THERE PAYING PEOPLE, ETC.,
20 ETC. IT'S JUST ALLOWING THEM TO DO THAT.

21 I WOULD SAY IN TERMS OF COMMODIFICATION, AS I
22 MENTIONED, RIGHT NOW IN EVERY STATE THERE ARE THOUSANDS
23 AND THOUSANDS OF WOMEN WHO ARE DONATING OOCYTES FOR
24 REPRODUCTIVE PURPOSES. AND THAT HAS BEEN THAT, GIVEN
25 WE LIVE IN A POLITY WITH DIVERSE VIEWS, THE CONSENSUS

BARRISTERS' REPORTING SERVICE

1 OF OUR SOCIETY HAS BEEN THAT THAT IS OKAY. AND ONE MAY
2 PERSONALLY NOT WANT TO DO THAT OR NOT AGREE WITH THAT,
3 BUT THAT IS WHAT IS STANDARD PRACTICE AT THIS POINT.
4 THAT IS STANDARD MEDICAL PROCEDURE AT THIS POINT. AND
5 WHAT WE FELT IS THAT IT'S UNJUST TO SAY TO A WOMAN THAT
6 THE FACT THAT SHE CAN GET COMPENSATED IF SHE GOES FOR
7 REPRODUCTIVE PURPOSES, THAT SHE CAN'T GET COMPENSATED
8 FOR DOING THE SAME EXACT THING FOR DOING IT FOR
9 RESEARCH PURPOSES, THAT THAT WAS UNFAIR. THAT WAS
10 DISCRIMINATORY. AND THAT'S SOMETHING THAT WE FELT
11 NEEDED TO BE ADDRESSED. IT WAS WE FELT THERE WAS NO
12 ETHICAL JUSTIFICATION FOR TREATING HER UNFAIRLY,
13 ESPECIALLY WHEN WHAT SHE WANTED TO DO WAS TO HELP
14 SOCIETY, TO HELP RESEARCH, TO HELP OTHER PATIENTS. ONE
15 COULD ARGUE WE HAD MORE SOCIAL BENEFIT, IN FACT, THAN
16 THE WOMAN WHO JUST WANTED TO SELL HER EGGS TO HELP
17 SOMEONE HAVE A CHILD. NOT THAT THAT'S BY ANY MEANS NOT
18 AN IMPORTANT GOAL, BUT WE FELT THAT THERE IS, ONE COULD
19 ARGUE, IMPORTANT SOCIAL BENEFIT THAT THE WOMAN WHO
20 WANTS TO DONATE FOR REPRODUCTIVE PURPOSES WOULD NOT
21 GET. AND, THEREFORE, WE FELT THAT WE SHOULD DO THE
22 JUST THING AND TREAT THEM FAIRLY, EQUALLY.

23 CHAIRMAN LO: A LOT OF PEOPLE WHO WANT TO ASK
24 A QUESTION, MAKE A COMMENT, SO I'M JUST GOING TO GO
25 AROUND.

BARRISTERS' REPORTING SERVICE

1 DR. ROBERTS: I COULD PICK UP RIGHT THERE
2 BECAUSE I DID THINK THE ARGUMENTS YOU MADE WERE VERY
3 LOGICAL EXCEPT THAT THERE WERE COUNTERARGUMENTS THAT
4 YOU DIDN'T INCLUDE IN YOUR PRESENTATION LIKE THE ONE
5 YOU JUST MADE ABOUT BECAUSE IT HAPPENS IN THE
6 REPRODUCTIVE CONTEXT, THEREFORE IT'S UNFAIR NOT TO
7 EXTEND IT TO THE RESEARCH CONTEXT. AND I THINK THERE'S
8 ALSO AN ARGUMENT THAT, YES, IT MAY HAPPEN IN THE
9 REPRODUCTIVE CONTEXT, BUT THAT WE MAY WANT TO LIMIT IT
10 TO THAT. IN OTHER WORDS, IF THERE ARE RISKS THAT WE AS
11 A SOCIETY, IF THERE IS A CONSENSUS WE'RE WILLING TO
12 TAKE IN THE REPRODUCTIVE CONTEXT, IT'S NOT NECESSARILY
13 THE CASE THAT WE AS A SOCIETY ARE WILLING TO EXTEND
14 THOSE RISKS EVEN FURTHER TO ANOTHER DOMAIN.

15 SO IN OTHER WORDS, LET'S SAY WE RECOGNIZE
16 THAT THERE ARE RISKS TO WOMEN IN DONATING THEIR EGGS,
17 AND MAYBE WE'RE WILLING TO SAY IT'S OKAY TO DO THAT,
18 THE BALANCE IS STRUCK ALL RIGHT IN THE REPRODUCTIVE
19 CONTEXT, BUT WE DON'T WANT TO EXTEND THOSE RISKS EVEN
20 FURTHER INTO RESEARCH. THAT CREATES A WHOLE EXTRA
21 CATEGORY OF WOMEN WHO ARE UNDERGOING THESE RISKS. YOU
22 MAY HAVE DECIDED THAT ON BALANCE THE RISKS ARE SO
23 MINOR, OR WOMEN SHOULD BE ABLE TO DECIDE FOR THEMSELVES
24 TO TAKE ON THOSE RISKS, BUT I DON'T THINK IT'S TRUE
25 THAT BECAUSE IT'S DONE IN THE REPRODUCTIVE CONTEXT,

BARRISTERS' REPORTING SERVICE

1 THAT NECESSARILY MEANS THAT IT CAN BE EXTENDED TO
2 ANOTHER CONTEXT.

3 AND THEN THAT -- SO THEN THAT RAISES THE
4 QUESTION OF, WELL, WHAT'S WRONG WITH EXTENDING IT TO
5 ANOTHER CONTEXT? AND THAT GETS INTO THE ISSUE OF
6 EXPLOITATION. AND YOU SAID THERE'S NO EVIDENCE THAT
7 EXPLOITATION HAS OCCURRED IN THE REPRODUCTIVE CONTEXT.
8 SO I GUESS THAT DEPENDS ON WHAT EXPLOITATION MEANS. OF
9 COURSE, THAT RAISES ALL SORTS OF COMPLICATED QUESTIONS
10 THAT YOU SAID ABOUT UNDUE INDUCEMENT. BUT IF THERE'S A
11 CONCERN THAT PAYING WOMEN FOR EGGS AND FOR UNDERGOING
12 RISKS THAT WE'RE NOT EVEN SURE ABOUT, THE RISKS OF
13 HYPEROVULATION AND OTHER RISKS ENTAILED IN DONATING
14 EGGS, THEN HOW DO YOU SAY -- WHAT DOES IT MEAN TO SAY
15 NO EXPLOITATION HAS OCCURRED? BECAUSE IF YOU BELIEVE
16 THAT PAYING FOR THIS PROCESS WHERE THERE ARE RISKS THAT
17 ARE NOT KNOWN AND THAT IT MAY BE DIFFICULT TO EVEN
18 PREDICT FOR WOMEN WHO ARE DONATING EGGS, THEN JUST
19 INDUCING WITH MONEY TO PARTICIPATE IN THIS COULD BE
20 CONSIDERED EXPLOITATION BY ITSELF.

21 AGAIN, I'M NOT SAYING THAT IT NECESSARILY IS,
22 BUT THAT IS AN ARGUMENT. THAT IS ONE OF THE ARGUMENTS
23 ABOUT EXPLOITATION. SO I DON'T KNOW THAT YOU CAN SAY
24 WE KNOW THAT NO EXPLOITATION HAS OCCURRED IN THE
25 REPRODUCTIVE CONTEXT. IT DEPENDS ON HOW YOU DEFINE

BARRISTERS' REPORTING SERVICE

1 WHAT THE EXPLOITATION IS.

2 AND ALSO I THINK IT'S IMPORTANT TO ASK -- I
3 THINK YOU ACTUALLY RAISED THIS IN YOUR ARTICLE -- ARE
4 WE TALKING ABOUT THE SAME WOMEN? BECAUSE THERE WILL BE
5 A WHOLE DIFFERENT CLASS OF WOMEN, PERHAPS, WHO WOULD BE
6 PAID FOR EXTRA RESEARCH THAT WOULDN'T BE PAID FOR EGGS
7 IN THE REPRODUCTIVE CONTEXT. SO WE MAY BE TALKING
8 ABOUT A DIFFERENT GROUP OF WOMEN. AND SO THE FACT THAT
9 EVEN IF WE FOUND THERE WAS NO EXPLOITATION IN THE
10 REPRODUCTIVE CONTEXT, IT DOESN'T MEAN THAT THERE
11 WOULDN'T BE IN THE RESEARCH CONTEXT BECAUSE IT MAY BE A
12 DIFFERENT GROUP OF WOMEN WHO ARE BEING PAID FOR EGGS.

13 AND, YOU KNOW, THE HOURLY RATE, I THINK ALTA
14 SUGGESTED THIS, IT'S NOT JUST TIME. IT'S ALSO THE
15 RISKS THAT YOU ARE TAKING IN ORDER TO DONATE EGGS. SO
16 THE SPERM DONOR CONTEXT, ALTA ALSO SUGGESTED THIS, BUT,
17 YOU KNOW, IT'S NOT THAT THEY'RE JUST HAVING MORE FUN.
18 MEN ARE NOT UNDERGOING THE RISKS THAT WOMEN ARE IN
19 DONATING GAMETES. SO IT'S A DIFFERENT -- IT'S
20 COMPLETELY DIFFERENT THAN PAYING INTERVIEW SUBJECTS,
21 FOR EXAMPLE.

22 SO THEN YOU RAISED ANOTHER GOOD POINT, WHICH
23 WAS THE OTHER RESEARCH PARTICIPANTS WHO ENGAGE IN
24 PERHAPS RISKY RESEARCH. AND THERE WAS THIS QUESTION
25 ABOUT, WELL, ARE THEY PAID THIS HIGH AMOUNT OF MONEY?

BARRISTERS' REPORTING SERVICE

1 ALTA MENTIONED PERHAPS WITH BONE MARROW DONATIONS. I
2 JUST WONDER IF THERE IS A COMPARABLE GROUP OF RESEARCH
3 PARTICIPANTS WHO AREN'T ENGAGING IN THE RESEARCH
4 BECAUSE THEY BELIEVE THEY PERSONALLY MAY BE BENEFITED
5 FROM IT. MY IMPRESSION IS THAT MANY CLINICAL RESEARCH
6 PARTICIPANTS ENGAGE IN THE RESEARCH BECAUSE THEY
7 BELIEVE THEY MAY THEMSELVES PERSONALLY GET A BENEFIT
8 FROM IT. AND THAT'S NOT THE CASE WITH EGG DONATION.
9 AND SO --

10 MS. CHARO: DOROTHY, JUST TO CLARIFY, I WAS
11 TALKING ABOUT PHASE I KINDS OF TRIALS WHERE THEY WERE
12 PURE RESEARCH SUBJECTS. THESE WEREN'T PEOPLE WHO HAD A
13 DISEASE. IT WAS REALLY WHAT I HAD SEEN WERE THE KIND
14 OF CLASSIC, TRULY CLASSIC RESEARCH SUBJECT MODE.

15 DR. ROBERTS: THEN THAT WOULD BE MORE
16 COMPARABLE, BUT THAT'S PROBABLY EXCEPTIONAL, I WOULD
17 THINK.

18 MS. CHARO: IT WAS VERY UNUSUAL.

19 DR. ROBERTS: I WOULD THINK THAT WOULD BE
20 EXCEPTIONAL. AND THEN, OF COURSE, THE ISSUE OF WHETHER
21 EGGS ARE A DIFFERENT TYPE OF PART OF YOUR BODY THAN
22 BONE MARROW OR PERHAPS ANY OTHER PART OF YOUR BODY
23 BECAUSE OF THE REPRODUCTIVE IMPLICATIONS, THE
24 COMMODIFICATION OF WOMEN, THE IMPLICATIONS THAT JEFF
25 MENTIONED. SO I GUESS I JUST FELT IT WAS IMPORTANT TO

BARRISTERS' REPORTING SERVICE

1 POINT OUT THAT THERE ARE ARGUMENTS AGAINST --
2 COUNTERARGUMENTS TO WHAT YOU PRESENTED, WHICH DOES
3 SOUND VERY LOGICAL; AND YOU MAY HAVE CONSIDERED ALL OF
4 THESE AND COME OUT WITH YOUR CONCLUSIONS, BUT THERE
5 MIGHT BE SOME WHO WEREN'T AWARE OF THESE OTHER
6 ARGUMENTS. I JUST FELT IT WAS IMPORTANT TO MENTION
7 THEM.

8 DR. KLITZMAN: NO. WE HAVE SPENT A LOT OF
9 TIME. WE SPENT A YEAR AND A HALF, MANY MEETINGS
10 DISCUSSING THIS. SO ESSENTIALLY THE ARGUMENTS THAT YOU
11 RAISED WERE THINGS THAT WE CONSIDERED. AND WE FELT
12 THAT ON BALANCE THAT THE BENEFITS OF DOING THIS
13 OUTWEIGHED THE HARM. THERE WERE ETHICAL ISSUES BOTH
14 SIDES. IF WE DO THIS, HERE'S THE BENEFITS. HERE'S THE
15 DOWNSIDES. AND WE FELT THAT ON BALANCE IT MADE SENSE
16 TO DO IT.

17 NOW, LET ME JUST CLARIFY. I'M NOT HERE TO
18 PERSUADE YOU.

19 DR. ROBERTS: I KNOW. I KNOW.

20 DR. KLITZMAN: I THOUGHT I WOULD SHARE WHAT
21 OUR THINKING WAS, AND YOU, BELIEVE ME, YOU SHOULD MAKE
22 YOUR OWN DECISION WHAT YOU THINK IS RIGHT.

23 I WILL -- IF I CAN JUST RESPOND TO A FEW OF
24 THE POINTS. VERY IMPORTANT FOR US WAS THAT THERE IS A
25 VERY FULL INFORMED CONSENT PROCESS SO THAT WOMEN

BARRISTERS' REPORTING SERVICE

1 UNDERSTAND THIS IS WHAT WE KNOW. I SHOULD CLARIFY.
2 THE RISKS ARE SMALL. THIS HAS BEEN STUDIED, WHAT ARE
3 THE RISKS. THERE IS A POSSIBLE SMALL RISK OF
4 HYPEROVARIAN STIMULATION SYNDROME, NOT HUGE, BUT IT'S
5 THERE. WE MAKE THAT CLEAR. YES, THERE MAY BE OTHER
6 RISKS DOWN THE ROAD, JUST LIKE WHENEVER YOU OPEN A
7 BOTTLE OF TYLENOL OR ANYTHING ELSE. THERE'S ALL KINDS
8 OF ANY OTHER MEDICATION OR ANY MEDICAL PROCEDURE,
9 THERE'S VARIOUS RISKS, SMALL PERCENTAGES, PEOPLE DECIDE
10 FOR THEMSELVES. SO WE FELT THAT IT WAS IMPORTANT TO
11 ALLOW WOMEN WHO PERHAPS MAY NOT RIGHT NOW BE ABLE OR
12 HAVE BEEN PAID FOR DONATING OOCYTES, THAT TO EXTEND
13 THIS TO PERHAPS OTHER GROUPS OF PEOPLE, THOUGH THAT MAY
14 NOT BE THE SAME GROUP OF WOMEN, WE DON'T KNOW, AND WE
15 FELT THAT IT WAS IMPORTANT TO GIVE THEM THE RIGHT TO
16 MAKE THE CHOICE THEMSELVES. THAT WE FELT IT WAS
17 IMPORTANT. WE TOOK A VERY FULL INFORMED CONSENT TO
18 HAVE THEM UNDERSTAND THESE ARE THE POSSIBLE RISKS,
19 THESE ARE THE POSSIBLE BENEFITS, ETC., ETC., LET THEM
20 MAKE THE DECISION.

21 AND ONE COULD ARGUE THAT TO MAKE THE DECISION
22 FOR WOMEN, THAT THIS IS TOO RISKY, YOU SHOULDN'T DO
23 THIS MAY, IN FACT, BE PATERNALISTIC. IN OTHER WORDS,
24 IT MAY BE DENYING AUTONOMY OF WOMEN TO SAY, NO, THIS IS
25 TOO DANGEROUS FOR YOU TO EVEN THINK ABOUT. EVEN IF YOU

BARRISTERS' REPORTING SERVICE

1 WERE TO UNDEREXPLAIN THE RISKS AND BENEFITS, WE DON'T
2 THINK YOU'LL BE ABLE TO RATIONALLY UNDERSTAND THIS. SO
3 I THINK THAT VIEW, THE COUNTERARGUMENT IS THAT THAT
4 VIEW MAY NOT BE RESPECTING THEIR AUTONOMY SUFFICIENTLY.
5 SO --

6 CHAIRMAN LO: BOB, I'M GOING TO TAKE THE
7 PREROGATIVE OF SORT OF CUTTING YOU OFF. WE'RE NOT HERE
8 TO SORT OF -- I WANT TO MAKE IT VERY CLEAR THAT, YOU
9 KNOW, NO MATTER HOW STIMULATING THIS IS INTELLECTUALLY
10 FOR US, AND I THINK MANY OF US ARE ENGAGED, IT'S JUST
11 NOT ON THE TABLE FOR US TO BE THINKING ABOUT THIS IN A
12 POLICY CONTEXT. WE CANNOT DO IT, BUT WE CAN TALK. SO
13 I DON'T WANT THIS TO TURN INTO SORT OF A POINT-BY-POINT
14 REBUTTAL, BUT I DO WANT TO GIVE, OTHER PEOPLE INDICATED
15 THEY WANTED TO TALK, A CHANCE TO RAISE THEIR QUESTIONS.
16 THESE MAY REALLY JUST BE COMMENTS RATHER THAN QUESTIONS
17 REQUIRING YOUR RESPONSE. I DON'T WANT YOU TO BE PUT ON
18 THE SPOT YOU HAVE TO SORT OF DEFEND NEW YORK.

19 I HAVE JOSE AND THEN, ALTA, DID YOU HAVE YOUR
20 HAND UP, AND THEN FRANCISCO AND ANN AND, MARCY, IF YOUR
21 HAND IS UP, I'LL TAKE YOU AS WELL.

22 MS. FEIT: I DON'T HAVE ANY QUESTIONS, BUT
23 I'M LISTENING.

24 DR. CIBELLI: I JUST WANT TO THANK YOU FOR
25 YOUR TALK, BUT I WANT TO GET BACK TO THE POINT THAT

BARRISTERS' REPORTING SERVICE

1 JEFF WAS MAKING ABOUT CLONING. AND I THINK WE'RE HERE
2 AND PEOPLE ARE EXPECTING FROM US THAT WE TAKE OR WE AT
3 LEAST MAKE DECISIONS BASED ON THE SCIENCE. AND FOR
4 WHAT WE KNOW AS OF NOW, WE SPEND A LOT OF MONEY IN OUR
5 LAB TRYING TO COMPARE HUMAN IPS CELLS AND HUMAN
6 EMBRYONIC STEM CELLS PRODUCED BY FERTILIZATION. SO WE
7 PRODUCE AN INCREDIBLE AMOUNT OF DATA, EXTREMELY BORING
8 TO THE POINT THAT'S IT'S GOING TO BE HARD TO PUBLISH.
9 BUT WE COMPARE THE TRANSCRIPTOME OF THE CELLS, THE
10 27,000 DIFFERENT VARIOUS USES FOR METHYLATION TO SEE IF
11 THEY'RE METHYLATED THE SAME OR NOT.

12 WE ALSO COMPARE THE -- WE SEQUENCED SIX
13 MILLION MICRO-RNA'S IN EACH TYPE OF CELL LINE. AND WE
14 FOUND NO DIFFERENCE, STATISTICAL DIFFERENCE, BETWEEN
15 THE TWO, THE IN VITRO FERTILIZED LINE AND THE IPS CELL
16 LINE. SO WE EMBARKED IN THIS VERY AMBITIOUS
17 PRECLINICAL STUDY USING IPS CELLS WITH THE HOPE THAT
18 WE'LL NOT NEED OOCYTES ANY LONGER.

19 THEN AFTER THAT SOME OFFICIAL PAPERS AND
20 EXTRA, I GUESS, RUMORS CAME FROM JAPAN, SHOWING THAT
21 IPS CELLS IN THE MOUSE SEEM TO BE MORE AGGRESSIVE IN
22 TERMS OF MAKING TERATOMAS WHEN COMPARED WITH FERTILIZED
23 EMBRYONIC STEM CELLS. THEN THE QUESTION IS, WELL, YOU
24 STILL HAVE THE GENES IN THERE, AND THAT'S WHY THEY ARE
25 BEHAVING THAT WAY. OKAY. HE DID IT WITHOUT THE GENES,

BARRISTERS' REPORTING SERVICE

1 AND STILL THE CELLS BEHAVE MORE AGGRESSIVE, MAKING
2 TERATOMAS EASIER THAN NORMAL FERTILIZED.

3 SO I WOULD SAY THE JURY IS STILL OUT AS TO
4 THE MECHANISM OF DEDIFFERENTIATION THAT WE USE NOW IS
5 GOING TO BE THE SAME AS THE ONE WE SUPPOSED TO MAYBE IN
6 THE FUTURE SOMETIME TRY WITH SCNT. IT WILL BE NICE TO
7 SEE SOMETHING DONE IN MONKEYS, AND SOMEONE IS DOING IT.
8 IF SOMEONE DOES IT IN THE MOUSE, WE'RE ALWAYS GOING TO
9 SAY, WELL, YOU STILL HAVE TO DO IT IN PRIMATES. SO
10 IT'S A MATTER OF TIME.

11 AND I'LL BE FIRST ONE TO SAY, YEAH, THEY'RE
12 THE SAME, JUST FORGET ABOUT THE OOCYTE, AND WE DON'T
13 HAVE TO DEAL WITH THIS ANYMORE. I'M AFRAID THAT
14 THEY'RE GOING TO COME OUT LIKE EXPERIMENTS LIKE THIS
15 WHEN WE'RE TALKING ABOUT THAT SPINDLE TRANSFER FOR
16 PEOPLE WITH MITOCHONDRIAL DISEASE WHERE YOU ARE GOING
17 TO STILL NEED OOCYTES. BUT WE DON'T HAVE THE ANSWER,
18 SCIENTIFIC ANSWERS, TO MAKE A DECISION WE DON'T NEED
19 SCNT ANYMORE. HOPEFULLY WE'LL BE THERE SOON, BUT NOT
20 YET.

21 MR. SHEEHY: YOU KNOW, IN A LOT OF WAYS I'M
22 GOVERNED BY THE DISCUSSIONS THAT HAVE TAKEN PLACE
23 WITHIN OUR WORKING GROUP MEETINGS WHICH YOU ATTEND
24 ALSO, OUR GRANTS WORKING GROUP. AND THERE HAS NOT
25 BEEN -- I THINK THAT THERE'S MORE CAUTION ABOUT GOING

BARRISTERS' REPORTING SERVICE

1 DOWN THE SCNT ROAD THAN THERE WAS WHEN WE FIRST STARTED
2 OUR MEETINGS. I THINK PEOPLE ARE REALLY LOOKING AT
3 THIS. I'M JUST TRYING TO GET A SENSE OF SCALE, AND I
4 DO FEEL THAT TO HOLD OUT SCNT AS LIKE SOME SORT OF
5 MAGIC BULLET IN THE SAME WAY WE DID AT THE BEGINNING
6 WHEN I FIRST CAME ONTO BOARD, LIKE, WE NEEDED IT FOR
7 DISEASE MODELS, FOR INSTANCE.

8 DR. CIBELLI: WE NEED IT TO VALIDATE IPS
9 CELLS RIGHT NOW. IF I WERE IN CALIFORNIA AND ABLE TO
10 WRITE A GRANT, THAT'S THE GRANT I WOULD WRITE. LET ME
11 DO THE SCNT COUPLE OF TIMES TO SEE IF THEY'RE BEHAVING
12 EXACTLY THE SAME AS IPS DONE WITH THE SAME CELL FROM
13 THE SAME INDIVIDUAL.

14 MR. SHEEHY: WE HAVEN'T BEEN ABLE TO DO IT.
15 WE CAN'T DO IT. AND THERE IS -- EVERY TIME YOU START
16 TALKING ABOUT SCNT, THE OOCYTE DONATION ISSUE, WHICH
17 I'M BOUND BY LAW, SO I'M FULLY IN ACCORD WITH WHERE WE
18 ARE PER PROP 71. I DON'T KNOW WHAT I WOULD DO IF I
19 WERE IN NEW YORK AND I COULD ACTUALLY MAKE A CHOICE.
20 AND I GIVE THEM ENORMOUS AMOUNT OF CREDIT FOR THE
21 DILIGENCE IN WHICH THEY'VE APPROACHED IT. AND I
22 RESPECT -- YOU KNOW, I SEE BOTH SIDES. ONCE YOU TELL A
23 WOMAN SHE CAN'T DO SOMETHING WITH HER BODY, YOU BECOME
24 INCREDIBLY PATERNALISTIC. SO I SEE THAT. BUT, AGAIN,
25 THE SCIENCE -- IT JUST -- I DON'T KNOW IF THIS IS

BARRISTERS' REPORTING SERVICE

1 REALLY SOMETHING WE DO. THERE IS ALWAYS, WHEN SOMEBODY
2 DOES DO IT, AND THERE WILL BE A TECHNIQUE THAT EXISTS
3 IN THE WORLD TO DO HUMAN CLONING. TO SAY THAT THAT'S
4 GOING TO GO CONTAINED WITHIN THE REALM OF SOLELY
5 RESEARCH EXPERIMENTS IS HOPEFUL, BUT I DON'T KNOW IF,
6 BASED ON HUMAN HISTORY, IF THAT'S REALISTIC.

7 CHAIRMAN LO: ALTA AND THEN FRANCISCO.

8 MS. CHARO: VERY QUICK. SINCE THE POLICY
9 CHANGED, HAS ANYBODY PROPOSED A PROTOCOL THAT INVOLVES
10 RECRUITING WOMEN TO OBTAIN THEIR EGGS? IF SO, HAS
11 ANYBODY TRIED TO RECRUIT WOMEN? AND IF SO, HAS ANY
12 WOMAN EVER BEEN RECRUITED UNDER THE NEW POSITION?

13 DR. KLITZMAN: IT'S TOO SOON. SO OUR NEXT
14 RFA COMES OUT IN, YOU KNOW, A FEW MONTHS. SO PEOPLE
15 WOULD THEN, IF THEY'RE INTERESTED, BE ABLE TO INCLUDE
16 THAT IN AN RFA -- THE RFA WILL BE RELEASED IN A FEW
17 MONTHS. THE DEADLINE FOR GRANT SUBMISSIONS WOULD BE A
18 FEW MONTHS AFTER THAT. IT WOULD TAKE SEVERAL MONTHS TO
19 REVIEW THEM, FOR THEM TO GET UP AND STARTED, ETC. SO
20 YOU'RE TALKING, YOU KNOW, TIME, A YEAR AND A HALF, A
21 YEAR, SOMEWHERE NINE MONTHS AND A YEAR AND A HALF.

22 MS. CHARO: THANK YOU.

23 DR. TAYLOR: I THINK AT LEAST MARK SAUER TOLD
24 ME THAT HE, I THINK, RECRUITED ABOUT EIGHT PATIENTS SO
25 FAR.

BARRISTERS' REPORTING SERVICE

1 DR. KLITZMAN: SO A NUMBER OF PEOPLE HAVE
2 COME FORWARD AND SAID THEY WOULD BE WILLING TO DO THIS.
3 WHEN WE DID THIS, IT GOT SOME MEDIA ATTENTION, ETC.
4 AND I SHOULD SAY THAT WHAT THIS ALLOWS -- I KNOW THIS,
5 THEREFORE, PERMITS IT IN NEW YORK STATE AND PERMITS USE
6 OF OUR FUNDS FOR THIS PURPOSE, BUT I KNOW THERE ARE
7 PEOPLE WHO HAVE PHILANTHROPIC SOURCES OF FUNDING, AND
8 SO THEY MAY HAVE ALREADY STARTED AS A RESULT.

9 DR. PRIETO: MORE OF A PROCESS-RELATED
10 QUESTION BECAUSE, OF COURSE, WE'VE GONE THROUGH A VERY
11 SIMILAR OR PARALLEL PROCESS WITHIN THE CONSTRAINTS
12 IMPOSED ON US BY THE INITIATIVE. BUT I'M NOT SURE IF I
13 UNDERSTOOD CORRECTLY. IS THERE NOT AN OPPORTUNITY FOR
14 DIRECT PUBLIC COMMENT AT YOUR -- IN PERSON AT YOUR
15 MEETINGS? AND THEN SORT OF A FOLLOW-UP OR A SEPARATE
16 QUESTION IS WHAT IS THE REPRESENTATION OR IS THERE
17 REPRESENTATION OF MEMBERS OF THE PUBLIC AND PATIENT
18 ADVOCATES ON YOUR BOARD AND ON THE COMMITTEES?

19 DR. KLITZMAN: SO WE RESPOND TO COMMENTS IN
20 WRITING, SO PEOPLE SUBMIT COMMENTS TO US. MANY OF US
21 HAVE BEEN CONTACTED INDEPENDENTLY BY PEOPLE WITH THEIR
22 VIEWS, WHICH WE FORWARD ON TO THE STAFF WHO THEN
23 DISTRIBUTES COMMENTS.

24 AND IN TERMS OF REPRESENTATION, WE HAVE -- I
25 CAN GIVE YOU SORT OF A LITTLE BIO OF EACH OF THESE

BARRISTERS' REPORTING SERVICE

1 PEOPLE, BUT BROOKE ELLISON, FOR INSTANCE, IS A WOMAN
2 WHO IS A PATIENT WHO HAS BEEN VERY VOCAL ABOUT THE NEED
3 FOR STEM CELL RESEARCH AND IS DISABLED AND ETC. AND WE
4 HAVE THE PRESIDENT OF THE PARKINSON'S DISEASE
5 FOUNDATION IS A MEMBER OF THE BOARD. WE HAVE, AS I
6 SAID, PEOPLE FROM BOTH ACADEMICIANS AS WELL AS FROM
7 OTHER FOUNDATIONS WHO HAVE BEEN INVOLVED. SUSAN
8 SOLOMAN FROM THE NEW YORK STEM CELL FOUNDATION HAS BEEN
9 INVOLVED WITH THE STRATEGIC PLAN.

10 SO THERE HAS BEEN QUITE A BIT OF INPUT --
11 THERE'S BEEN QUITE A BIT OF INPUT THAT WE'VE GOTTEN
12 FROM THE PUBLIC ON EACH OF THESE AREAS. WE DEBATED AT
13 ONE POINT WHETHER TO GO AROUND THROUGHOUT THE STATE AND
14 HAVE SORT OF TOWN HALL MEETINGS. AND WE FELT THAT
15 HAVING PEOPLE SUBMIT WRITTEN COMMENTS WOULD BE
16 EFFECTIVE TO ALLOW PEOPLE TO HAVE THEIR OPINIONS
17 EXPRESSED AND TAKEN INTO ACCOUNT AS WE WENT THROUGH THE
18 WORK THAT WE DO.

19 DR. PRIETO: DO YOU RESPOND TO ALL WRITTEN
20 COMMENTS?

21 DR. KLITZMAN: IN OUR MEETINGS, WHICH ARE
22 WEBCAST, WE DISCUSS COMMENTS THAT COME IN, YES.

23 DR. PRIETO: I WILL TELL YOU THAT THE TOWN
24 HALL MEETING MODEL, AS YOU PUT IT, IS SORT OF WHAT
25 WE'RE DOING HERE IN CALIFORNIA. WE DO GO ALL AROUND

BARRISTERS' REPORTING SERVICE

1 THE STATE, AND OUR BOARD MEETINGS ARE, PARTICULARLY IN
2 THE FIRST FEW YEARS, I THINK, MAYBE CONSIDERABLY
3 LIVELIER AS A RESULT.

4 DR. KLITZMAN: TOWN HALL MEETINGS ON
5 HEALTHCARE HAVE BEEN QUITE LIVELY LATELY, I UNDERSTAND.

6 IF I COULD JUST SAY, BY THE WAY, IF YOU
7 NOTICED, WE ARE -- WE'RE CHOSEN BY OUR ELECTED
8 OFFICIALS, BY THE WAY ALSO. SO IF YOU NOTICED BEFORE,
9 BOTH THE MINORITY AND THE MAJORITY LEADERS IN THE STATE
10 LEGISLATURE APPOINTED WHO THEY WANTED TO BE ON THE
11 BOARD, AND THE GOVERNOR AS WELL. SO TO A CERTAIN
12 DEGREE, WE ARE BEHOLDEN TO OUR STATE'S ELECTED
13 OFFICIALS WHO PUT US THERE, AND WE'RE THERE FOR
14 TWO-YEAR TERMS.

15 DR. PRIETO: NOT UNLIKE THE SYSTEM HERE. BUT
16 THE INITIATIVE ALSO SPECIFIES THAT THERE WILL BE
17 CERTAIN NUMBER OF PATIENT ADVOCATES AND REPRESENTATIVES
18 OF SPECIFIC GROUPS.

19 DR. KLITZMAN: IF I COULD JUST CLARIFY. I
20 THINK ONE OF THE REASONS WE DECIDED NOT TO HAVE TOWN
21 HALL MEETINGS IS BECAUSE I THINK PARTLY BECAUSE OF THE
22 EXPERIENCE YOU ALL WENT THROUGH. AND THERE'S BEEN A
23 LOT WRITTEN ON WHAT THE RANGE OF OPINIONS AND WHAT THE
24 RANGE OF CONCERNS ARE, AND WE TAKE ALL OF THOSE INTO
25 CONSIDERATION. AND WE HAVE SOME PEOPLE WHO ARE -- WHO

BARRISTERS' REPORTING SERVICE

1 BELIEVE THAT THE MORAL STATUS OF AN EMBRYO IS SUCH THAT
2 WE SHOULD NOT DO RESEARCH ON IT. SO FATHER BERG IS A
3 VERY VOCAL PRO LIFE ADVOCATE, IF I COULD SPEAK ON HIS
4 BEHALF, AND HE MAKES HIS VIEWS HEARD IN EVERY MEETING,
5 AND WE RESPECT THOSE AND INCORPORATE THOSE INTO OUR
6 PROCESS.

7 THERE ARE PEOPLE WHO ARE VERY CONCERNED ABOUT
8 ISSUES OF EXPLOITATION, VULNERABILITY, EXPLOITATION OF
9 VULNERABLE GROUPS IN OUR SOCIETY, AND WE TAKE THOSE --
10 THAT, IF YOU LOOK AT OUR WEBCAST, IF YOU LOOK AT OUR
11 MINUTES, THOSE ARE ISSUES THAT ARE REALLY THE HEART OF
12 WHAT WE DISCUSS OF HOW TO DEAL WITH THESE VERY
13 DIFFICULT, COMPLEX, COMPETING ISSUES. SO I THINK THAT
14 WE'VE NOT HAD COMMENTS SAYING YOU'VE NOT CONSIDERED MY
15 ISSUE. I THINK WE MAKE A POINT TO EXPRESS THE RANGE OF
16 OPINIONS THAT WE BELIEVE ARE IN SOCIETY. I THINK IT'S
17 PRETTY CLEAR, WITH ALL DUE RESPECT, IF ANYONE FEELS
18 THAT'S NOT THE CASE, I WELCOME HEARING THAT, BUT I
19 THINK THE RANGE OF DIVERSE VIEWS ON THIS ISSUE HAVE
20 BEEN VERY WELL EXPRESSED BY ADVOCATES. AND THAT'S
21 GIVEN US AN OPPORTUNITY TO THINK ABOUT THOSE IN A VERY
22 SERIOUS AND SUSTAINED WAY.

23 MS. FEIT: JUST TO THANK YOU FOR BEING HERE
24 TONIGHT. IT'S BEEN REALLY ENLIGHTENING TO LISTEN TO
25 THE PROCESS THAT YOU ALL HAVE BEEN THROUGH. AND I

BARRISTERS' REPORTING SERVICE

1 THINK FOR THOSE OF US IN CIRM, I THINK WE WILL BE
2 HAVING A LOT MORE ETHICAL DISCUSSIONS. EVEN THOUGH OUR
3 PROPOSITION TIES US TO A CERTAIN PROCESS, I THINK WE'RE
4 GOING TO BE FACED WITH A LOT MORE CONSIDERATION GOING
5 FORWARD IN THE FUTURE FOR THE BODY OF SCIENCE IN
6 CALIFORNIA AND HOW IT'S AFFECTING WHAT WE'RE WANTING TO
7 FUND AND WHAT WE'RE GOING TO BE DOING. SO I WANT TO
8 THANK YOU FOR BEING HERE ON TONIGHT.

9 CHAIRMAN LO: BOB, IF I MAY, I WANT TO ASK
10 YOU TO COMMENT ON A SLIGHTLY DIFFERENT ISSUE. WE'VE
11 TALKED A LOT ABOUT PAYMENT PER SE AND WHAT'S UNDUE
12 INFLUENCE AND IS IT POSSIBLY COERCIVE OR IS IT A
13 VIOLATION OF A WOMAN'S AUTONOMY NOT TO ALLOW HER TO
14 CHOOSE. I WANT TO GO BACK TO THE ISSUE OF MEDICAL
15 RISK. AND SO ONE CAN MINIMIZE THE MEDICAL RISKS OF
16 OOCYTE DONATION, AT LEAST THE SHORT-TERM RISKS, BY THE
17 WAY THE HORMONAL MANIPULATION AND RETRIEVAL ARE CARRIED
18 OUT.

19 DR. KLITZMAN: BY THE WHAT? I'M SORRY.

20 CHAIRMAN LO: BY THE WAY YOU CARRY OUT
21 HORMONAL MANIPULATION AND RETRIEVAL. AND CIRM ACTUALLY
22 HAD ACTUALLY ASKED THE NATIONAL ACADEMY OF SCIENCES TO
23 EXAMINE THIS, TO HOLD A WORKSHOP. LINDA GUIDICE FROM
24 UC CHAIRED. AND THAT REPORT SUGGESTED THAT BY
25 SELECTION OF DONORS, BY MONITORING OF THE DEVELOPMENT

BARRISTERS' REPORTING SERVICE

1 OF FOLLICLES, BY WITHHOLDING THE SURGE DOSE OF LH OR
2 HEG, YOU COULD REALLY MINIMIZE OR ELIMINATE THE RISK OF
3 SEVERE HYPEROVULATION SYNDROME.

4 SO I NOTICED IN YOUR REPORT YOU SAY THAT IN
5 ORDER TO QUALIFY FOR THIS FUNDING UNDER THE GRANT, IN
6 ADDITION TO ALL THESE STIPULATIONS ABOUT PAYMENT, YOU
7 SAID THAT THE STANDARDS OF ASRM HAD TO BE FOLLOWED. I
8 WANTED TO SORT OF ASK YOU TO GIVE US A LITTLE MORE
9 DETAIL ABOUT HOW IS THAT ACTUALLY DONE. DOES THE
10 INVESTIGATOR -- DOES THE REPRODUCTIVE SCIENTIST WHO'S
11 ACTUALLY HARVESTING THE EGGS, IF IT WERE TO COME TO A
12 GRANT, JUST SAY -- MAKE A DECLARATION I'M GOING TO
13 FOLLOW THE ASRM GUIDELINES? IS THERE ANY OTHER
14 ADDITIONAL OVERSIGHT?

15 AND SECONDLY, I WANTED TO SORT OF ASK YOU
16 ABOUT THERE WAS A SUGGESTION MADE IN THE NAS -- IT'S
17 NOT A REPORT. IT'S A WORKSHOP -- THAT YOU MAY ACTUALLY
18 WANT TO BE EVEN STRICTER IF YOU'RE RETRIEVING OOCYTES
19 FOR RESEARCH AS OPPOSED TO REPRODUCTION AND TO TOLERATE
20 LESS OF A RISK IN THAT CONTEXT. SO I JUST WANTED TO
21 ASK YOU TO WALK US THROUGH HOW YOU THOUGHT ABOUT THE
22 MEDICAL RISK ISSUE BECAUSE IF IT REALLY IS THE CASE
23 THAT BY SAYING WE WILL FOREGO THE LAST GONADOTROPIN
24 SURGE AND ELIMINATE THE POSSIBILITY OF SEVERE OHSS AT,
25 OF COURSE, THE SAME TIME SAYING WE'RE NOT GOING TO

BARRISTERS' REPORTING SERVICE

1 RETRIEVE OOCYTES, BUT WE CAN PROTECT WOMEN, THAT IT
2 SEEMS TO ME TO BE A VERY PRACTICAL, PRAGMATIC WAY OF
3 ADDRESSING THE ISSUE OF RISKS.

4 DR. KLITZMAN: EXCELLENT POINTS. VERY
5 IMPORTANT TO THIS AND WHAT WE MAKE VERY CLEAR IN WHAT
6 WE'VE WRITTEN ON THIS IS THAT THIS IS ALL SUBJECT TO
7 LOCAL IRB APPROVAL AND SCRO APPROVAL. SO IRB'S ARE
8 CHARGED WITH VERY CAREFULLY EVALUATING THE RISKS AND
9 THE DESCRIPTION OF RISKS, WHAT THEY ARE, MAKING SURE
10 THAT THE BENEFITS OUTWEIGH THE RISKS, THAT THE RISKS
11 ARE AT LEAST COMMENSURATE WITH THE BENEFITS, ETC. SO
12 WE ARE NOT MAKING THE FINAL -- AND THE SCIENCE IS GOING
13 TO CHANGE. THE UNDERSTANDING OF RISKS IS GOING TO
14 CHANGE, MAY GO UP, MAY GO DOWN, MAY BE CLARIFIED, MAY
15 BE DIFFERENT. SO ALL THIS IS SUBJECT TO VERY, VERY
16 CAREFUL IRB REVIEW. WE MADE THAT VERY CLEAR IN MANY,
17 MANY WAYS, THAT GIVEN THE POSSIBILITY THAT RISKS CAN
18 CHANGE, ETC., SO IRB'S, SINCE THEY NEED TO DO THIS WITH
19 EVERY RESEARCH PROTOCOL, BE IT BONE MARROW TRANSPLANTS
20 OR CHEMOTHERAPIES OR HIV, ANYTHING ELSE, TO REALLY VERY
21 CAREFULLY LOOK AT THE RISKS AND BENEFITS INVOLVED IN
22 EVERY PROTOCOL, NOT ONLY HOW THEY'RE EXPLAINED, BUT
23 EVEN FOR THE EXPERIMENT TO GO FORWARD, WHAT ARE THE
24 RISKS, AND ARE THEY TO MINIMIZE RISKS, ETC.

25 SO WE REALLY SEE THAT AS THE PURVIEW OF THE

BARRISTERS' REPORTING SERVICE

1 IRB. ALL WE'VE DONE IS ALLOWED IRB'S TO CONSIDER THE
2 POSSIBILITY AND ALLOW INVESTIGATORS TO CONSIDER THE
3 POSSIBILITY OF COMPENSATING WOMEN FOR THE DONATION IF
4 THAT MAKES SENSE SCIENTIFICALLY. SO AT THE SAME TIME
5 WE ENCOURAGE THE RESEARCHER OBVIOUSLY TO SORT OF STAY
6 UP TO DATE WITH WHATEVER THE CURRENT THINKING IS IN
7 TERMS OF REDUCING RISKS AND WHAT THE RISKS ARE.

8 CHAIRMAN LO: SO IF I CAN ASK YOU AGAIN. SO
9 YOU SAY THAT THERE NEEDS TO BE ADHERENCE TO ASRM'S
10 GUIDELINES IN THE CONTEXT OF STANDARDS OF PRACTICE
11 RATHER THAN JUST PAYMENT. AND I GUESS I WANT TO SORT
12 OF ASK YOU TO BE MORE SPECIFIC. HOW IS THAT ACTUALLY
13 DONE? IS IT JUST A DECLARATION? IS IT LEFT UP TO THE
14 IRB TO GO OVER THE PROTOCOL LINE BY LINE AND MAKE SURE
15 IT'S CONSISTENT WITH ASRM GUIDELINES?

16 DR. KLITZMAN: IN TERMS OF THOUGHT, THERE'S A
17 CONTRACT PROCESS. SO WHEN THE EMPIRE STATE STEM CELL
18 BOARD DECIDES WE'RE GOING TO FUND A PARTICULAR
19 RESEARCHER, THERE IS A LENGTHY CONTRACT PROCESS THAT'S
20 INVOLVED IN WHICH THIS IS IN THE -- ALL OF OUR
21 PROVISIONS IN TERMS OF INFORMED CONSENT, IN TERMS OF
22 IRB, IN TERMS OF ESCRO, ETC., THEY'RE CONTRACTUALLY
23 AGREED UPON BY THE RESEARCHER AND THE INSTITUTION.

24 THE DETAILS OF THE CONTRACT PROCESS, ACTUALLY
25 I WOULD REFER YOU TO THE STAFF SINCE THEY, FRANKLY,

BARRISTERS' REPORTING SERVICE

1 DEAL WITH THAT MORE SPECIFICALLY THAN I DO.

2 CHAIRMAN LO: OKAY. WELL, AGAIN, I WANT TO
3 ECHO WHAT THE OTHER MEMBERS OF THE SWG HAVE SAID, TO
4 THANK YOU VERY MUCH FOR COMING, FOR ENGAGING US IN THIS
5 DISCUSSION. AND, AGAIN, I WANT TO REITERATE FOR THE
6 RECORD AND FOR THOSE IN THE ROOM THAT THIS IS SORT OF
7 AN INTELLECTUAL EXERCISE TO JUST KEEP US ABREAST OF
8 WHAT'S HAPPENING AROUND THE WORLD AND IN THE U.S. ON
9 IMPORTANT DEVELOPMENTS. THIS IS NO WAY MEANT TO SIGNAL
10 THAT SOMEHOW WE ARE TRYING TO UNDERMINE OR SUBVERT THE
11 VERY CLEAR LANGUAGE IN PROP 71. WE CANNOT DO UNDER
12 PROP 71 WHAT YOU ARE DOING, BUT IT'S CERTAINLY GOOD FOR
13 US TO THINK ABOUT IT AND TO BE UP TO DATE ON THE
14 ISSUES.

15 MS. LANSING: I ECHO THAT. I THOUGHT IT WAS
16 FASCINATING. AND I THANK YOU FOR COMING. I KNOW IT'S
17 THE EVE OF ROSH HASHANAH, AND I REALLY APPRECIATE THAT
18 YOU MADE SUCH AN EFFORT TO BE HERE. I KNOW THAT WAS
19 HARD ON YOU. AND I THINK I SPEAK FOR ALL OF US AND
20 EVERYONE IN THE AUDIENCE AS WELL, THAT IT WAS
21 FASCINATING TO HEAR THIS OTHER POINT OF VIEW.

22 DR. KLITZMAN: THANK YOU VERY MUCH AND
23 GRATEFUL FOR THE CHANCE TO TALK TO YOU ABOUT IT.

24 CHAIRMAN LO: WITH THAT, I WOULD LIKE TO
25 ADJOURN THE MEETING PART. WE WILL START TOMORROW AT,

BARRISTERS' REPORTING SERVICE

1 WHAT TIME, 9 A.M. SHARP. AND TOMORROW WE WILL HAVE
2 SOME ISSUES THAT WE NEED TO MAKE SOME DECISIONS OR
3 RECOMMENDATIONS. THERE IS DINNER COMING UP. AND, PAT,
4 WHERE IS DINNER GOING TO BE?

5 MS. BECKER: OLYMPIC ROOM.

6 CHAIRMAN LO: SO WE HAVE TEN MINUTES TO SORT
7 OF -- TOMORROW, I GUESS I SHOULD SAY, SHERRY REMINDED
8 ME, THAT WE REALLY HAVE TO PLAN ON BEING DONE BY 3
9 O'CLOCK. PEOPLE HAVE PLANES TO CATCH AND OTHER THINGS
10 THAT THEY HAVE TO DO, SO WE NEED TO REALLY -- NOT A
11 WHOLE DAY MEETING. IT'S NINE TO THREE.

12 (THE MEETING WAS THEN CONCLUDED AT 07:18
13 P.M. TO RECONVENE AT 9 A.M. ON SEPTEMBER 18TH, 2009.)

14
15
16
17
18
19
20
21
22
23
24
25

BARRISTERS' REPORTING SERVICE

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE SCIENTIFIC AND MEDICAL ACCOUNTABILITY STANDARDS WORKING GROUP OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

WESTIN SAN FRANCISCO MARKET STREET
50 THIRD STREET
SAN FRANCISCO, CALIFORNIA
ON
SEPTEMBER 17, 2009

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152
BARRISTER'S REPORTING SERVICE
1072 BRISTOL STREET
SUITE 100
COSTA MESA, CALIFORNIA
(714) 444-4100