

BEFORE THE  
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE  
TO THE  
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE  
ORGANIZED PURSUANT TO THE  
CALIFORNIA STEM CELL RESEARCH AND CURES ACT  
REGULAR MEETING

LOCATION: LUXE HOTEL  
11461 SUNSET BOULEVARD  
LOS ANGELES, CALIFORNIA

DATE: WEDNESDAY, DECEMBER 11, 2013  
THURSDAY, DECEMBER 12, 2013  
9 A.M.

REPORTER: BETH C. DRAIN, CSR  
CSR. NO. 7152

BRS FILE NO.: 95381 & 95494

**BARRISTERS' REPORTING SERVICE**

**I N D E X**

ITEM DESCRIPTION	PAGE NO.
REPORTS & DISCUSSION ITEMS	
1. CALL TO ORDER.	4
2. PLEDGE OF ALLEGIANCE.	4
3. ROLL CALL.	4
4. CHAIRMAN'S REPORT.	6
5. PRESIDENT'S REPORT.	481
ACTION ITEMS	
6. CONSIDERATION OF CIRM MANAGEMENT RECOMMENDATIONS REGARDING THE SCIENTIFIC ADVISORY BOARD REPORT AND ALLOCATION OF THE REMAINDER OF CIRM FUNDS	12
TROUNSON	14, 271, 321
OLSON	24, 186
FEIGAL	58, 144
YAFFE	205, 239

**BARRISTERS' REPORTING SERVICE**

I N D E X (CONT'D.)

DISCUSSION ITEMS

7. SPOTLIGHT ON DISEASE NOT REPORTED

ACTION ITEMS

8. CONSIDERATION OF INITIATING RULEMAKING FOR AMENDMENT OF SECTION 100070 OF THE CIRM MEDICAL AND ETHICAL STANDARDS. DEFFERED

9. CONSIDERATION OF SUPPLEMENT TO RFA 12-03: CIRM HIPSC DERIVATION AWARD - REVISED 501

10. CONSIDERATION OF KEY SELECTION CRITERIA FOR PRESIDENT OF CIRM. 517

11. CONSIDERATION OF APPOINTMENT OF NEW SCIENTIFIC MEMBERS OF THE GRANTS WORKING GROUP. 519

12. CONSIDERATION OF RESOLUTION HONORING LEEZA GIBBONS. 135

13. CONSIDERATION OF RESOLUTION HONORING JONATHAN SHESTACK. 130

14. CONSIDERATION OF AUDIT RESULTS FROM MACIAS GINI & O'CONNELL LLP. 316

15. CONSIDERATION OF APPLICATIONS FOR 13-01: DUANE ROTH DISEASE TEAM THERAPY DEVELOPMENT III AWARDS. 352

16. CONSIDERATION OF MINUTES FROM THE ICOC OCTOBER BOARD MEETING. 520

17. CONSIDERATION OF ADOPTION OF AMENDMENTS TO SECTION 100601. 521

CLOSED SESSION

20. COMMUNICATIONS UPDATE. 472

21. PUBLIC COMMENT. NONE

**BARRISTERS' REPORTING SERVICE**

1 LOS ANGELES, CALIFORNIA; DECEMBER 12, 2013

2 9 A.M.

3

4 CHAIRMAN THOMAS: OKAY. I'D LIKE TO  
5 WELCOME EVERYBODY TO DAY TWO OF OUR TWO-DAY SESSION.  
6 YESTERDAY WE HAD OUR WORKSHOP. TODAY WE HAVE ONE  
7 MORE ITEM FROM THAT, WHICH WE'LL GET TO IN A BIT,  
8 BUT IN ADDITION THE FULL DAY'S AGENDA ON A BUNCH OF  
9 OTHER ITEMS. DO WE NEED TO RECALL -- MARIA, DO WE  
10 NEED TO REDO THE PLEDGE OF ALLEGIANCE? NO. WE'RE  
11 GOOD. GOT TO GET THE PROCEDURE RIGHT.

12 MS. BONNEVILLE: LARS BERGLUND.

13 DR. BERGLUND: YES.

14 MS. BONNEVILLE: LINDA BOXER.

15 DR. BOXER: HERE.

16 MS. BONNEVILLE: DAVID BRENNER.

17 DR. BRENNER: HERE.

18 MS. BONNEVILLE: SUE BRYANT. ANNE-MARIE  
19 DULIEGE.

20 DR. DULIEGE: HERE.

21 MS. BONNEVILLE: MARCY FEIT. LEON FINE.

22 DR. FINE: HERE.

23 MS. BONNEVILLE: ELIZABETH FINI.

24 DR. FINI: HERE.

25 MS. BONNEVILLE: JUDY GASSON. MICHAEL

**BARRISTERS' REPORTING SERVICE**

1       GOLDBERG.  
2               MR. GOLDBERG:  HERE.  
3               MS. BONNEVILLE:  SAM HAWGOOD.  
4               DR. HAWGOOD:  HERE.  
5               MS. BONNEVILLE:  STEPHEN JUELSGAARD.  
6               DR. JUELSGAARD:  HERE.  
7               MS. BONNEVILLE:  TED KRONTIRIS.  
8               DR. KRONTIRIS:  HERE.  
9               MS. BONNEVILLE:  SHERRY LANSING.  BERT  
10       LUBIN.  FRANCISCO PRIETO.  ROBERT QUINT.  
11               DR. QUINT:  HERE.  
12               MS. BONNEVILLE:  AL ROWLETT.  
13               DR. ROWLETT:  HERE.  
14               MS. BONNEVILLE:  JOAN SAMUELSON.  JEFF  
15       SHEEHY.  
16               MR. SHEEHY:  HERE.  
17               MS. BONNEVILLE:  OSWALD STEWARD.  JONATHAN  
18       THOMAS.  
19               CHAIRMAN THOMAS:  HERE.  
20               MS. BONNEVILLE:  ART TORRES.  
21               MR. TORRES:  HERE.  
22               MS. BONNEVILLE:  CARL WARE.  DONNA WESTON.  
23               DR. WESTON:  HERE.  
24               MS. BONNEVILLE:  DIANE WINOKUR.  
25               MS. WINOKUR:  HERE.

**BARRISTERS' REPORTING SERVICE**

1 CHAIRMAN THOMAS: FOR THE RECORD, JEFF  
2 JUST WALKED IN.

3 DR. BRYANT: ALSO I WAS ON MUTE. SORRY.  
4 THIS IS SUE.

5 CHAIRMAN THOMAS: OKAY. ALL RIGHT. THANK  
6 YOU, EVERYBODY.

7 WE ARE GOING TO TAKE AN ITEM OR TWO OUT OF  
8 ORDER BECAUSE WE HAVE SOME FOLKS HERE WHO ARE GOING  
9 TO PRESENT, SO WE'LL PROCEED FIRST TO ITEM 14, WHICH  
10 IS CONSIDERATION OF AUDIT RESULTS FROM MACIAS, ET  
11 AL.

12 MR. GODSEY: GOOD MORNING, EVERYONE. MY  
13 NAME IS JIM GODSEY. I'M THE PARTNER FROM MGO. I'LL  
14 SUMMARIZE OR SHORTEN IT TO MGO. AND WE ARE HERE TO  
15 PRESENT THE RESULTS OF OUR EXAMINATION OF THE  
16 FINANCIAL STATEMENTS OF THE INSTITUTE FOR THE PERIOD  
17 ENDING JUNE 30, 2013.

18 WE'VE CONDUCTED -- OUR AUDIT WAS CONDUCTED  
19 IN ACCORDANCE WITH GENERALLY ACCEPTED AUDITING  
20 STANDARDS AND INCLUDED RESPONSIBILITIES UNDER  
21 GOVERNMENTAL AUDITING STANDARDS. BASED UPON OUR  
22 AUDIT, WE HAVE ISSUED OUR REPORT DATED OCTOBER 15,  
23 2013. THAT IS, THE REPORT IS UNMODIFIED. MANY OF  
24 YOU HAVE GONE THROUGH THIS A NUMBER OF TIMES. THIS  
25 IS A NEW CHANGE. WE USED TO REFER TO THIS AS

**BARRISTERS' REPORTING SERVICE**

1 UNQUALIFIED. THERE WAS SO MUCH QUESTIONS ABOUT A  
2 NEGATIVE IN THE UNQUALIFIED ASPECT OF IT, WE  
3 SIMPLIFIED EVERYTHING. NOW WE'RE CALLING IT  
4 UNMODIFIED. WE STILL HAVE A NEGATIVE, AND IT'S  
5 STILL A CLEAN OPINION. SO THIS IS THE OPINION THAT  
6 YOU WOULD EXPECT TO SEE, WANT TO SEE, ON YOUR  
7 FINANCIAL STATEMENTS, INDICATING THOSE FINANCIAL  
8 STATEMENTS HAVE BEEN PREPARED IN ACCORDANCE WITH  
9 GENERALLY ACCEPTED ACCOUNTING STANDARDS.

10 IN ADDITION, WE HAVE ISSUED OUR REPORT ON  
11 INTERNAL CONTROLS IN ACCORDANCE WITH GOVERNMENTAL  
12 AUDITING STANDARDS. THOSE STANDARDS REQUIRE THAT WE  
13 UNDERSTAND THE INTERNAL CONTROLS NECESSARY TO PLAN  
14 THE AUDIT ENGAGEMENT AND DETERMINE WHAT ADDITIONAL  
15 AUDIT STEPS, PROCEDURES WE WILL APPLY. THAT IS LESS  
16 THAN WHAT WOULD BE REQUIRED TO ISSUE AN OPINION ON  
17 THOSE FINANCIAL -- ON THE INTERNAL CONTROLS. SO IN  
18 ACCORDANCE WITH THOSE STANDARDS, WE'VE ISSUED OUR  
19 REPORT. WE HAVE NOT PROVIDED AN OPINION, NOT  
20 REQUIRED, AND WE IDENTIFIED THAT THERE WERE NO  
21 SIGNIFICANT DEFICIENCIES OR MATERIAL WEAKNESSES  
22 IDENTIFIED IN THE SYSTEM OF INTERNAL CONTROLS FOR  
23 THE PERIOD ENDING JUNE 30, 2013.

24 I HAVE A COUPLE OF OTHER REQUIRED, WHAT WE  
25 REFER TO AS REQUIRED COMMUNICATIONS. AGAIN, THIS IS

**BARRISTERS' REPORTING SERVICE**

1 JUST TO EMPHASIZE THAT THESE FINANCIAL STATEMENTS  
2 ARE MANAGEMENT RESPONSIBILITIES. THEY ARE  
3 REPRESENTATIONS MADE BY MANAGEMENT, AND MANAGEMENT  
4 IS RESPONSIBLE FOR THE SELECTION OF THE APPROPRIATE  
5 ACCOUNTING PRINCIPLES THAT ARE USED TO PREPARE  
6 THOSE. WE REVIEW THOSE ACCOUNTING PRINCIPLES. WE  
7 CONCUR WITH MANAGEMENT THAT THEY WERE THE  
8 APPROPRIATE PRINCIPLES FOR THE INSTITUTE, AND THAT  
9 THEY WERE CONSISTENTLY APPLIED FROM ONE PERIOD TO  
10 THE NEXT.

11 WE DID NOT IDENTIFY -- WE IDENTIFIED NO  
12 TRANSACTIONS THAT THERE WAS NOT SUBSTANTIVE  
13 AUTHORITY FOR THAT TYPE OF ATTRACTION. AND BASED  
14 UPON OUR AUDIT, WE BELIEVE THAT ALL TRANSACTIONS,  
15 ALL SIGNIFICANT TRANSACTIONS, WERE RECOGNIZED IN THE  
16 APPROPRIATE ACCOUNTING PERIOD.

17 WE DID NOT EXPERIENCE ANY UNUSUAL  
18 DIFFICULTIES DURING THE PERFORMANCE OF THE AUDIT.  
19 MANAGEMENT HAS BEEN TREMENDOUSLY RESPONSIVE TO US.  
20 ALL QUESTIONS THAT WE HAD WERE ANSWERED. THERE WERE  
21 ABSOLUTELY NO RESTRICTIONS PLACED ON US DURING THE  
22 COURSE OF THE AUDIT.

23 WE DID NOT HAVE ANY DISAGREEMENTS,  
24 PROFESSIONAL DISAGREEMENTS, AS FAR AS ACCOUNTING  
25 PRINCIPLES WITH MANAGEMENT. AND WE HAVE RECEIVED



**BARRISTERS' REPORTING SERVICE**

1 WRITTEN CONFIRMATION FROM MANAGEMENT, WHAT WE REFER  
2 TO AS THE MANAGEMENT LETTER, DATED OCTOBER 15TH AS  
3 WELL, INDICATING THAT EVERYTHING THAT IS IN THE  
4 FINANCIAL STATEMENTS IS PROPERLY STATED IN THE  
5 FINANCIAL STATEMENTS AND THAT ALL TRANSACTIONS THAT  
6 SHOULD BE INCLUDED HAVE, IN FACT, BEEN INCLUDED.

7 AND THE LAST ITEM I WOULD SHARE WITH YOU  
8 ALL IS THAT THERE WAS NO PRECONDITIONS TO US BEING  
9 SELECTED AS THE EXTERNAL AUDITORS FOR THE INSTITUTE.  
10 THERE WERE NO CONDITIONS, NO AGREEMENTS AS TO IF WE  
11 SELECT YOU, WILL YOU HANDLE CERTAIN TRANSACTIONS  
12 THIS WAY OR THAT WAY. SO NO PREEXISTING CONDITIONS.

13 THE REST OF THIS IS INCLUDED IN OUR  
14 LETTER. SO IF YOU HAVE ANY FURTHER QUESTIONS OR  
15 DETAILS, WE CAN REFER TO THAT. THAT'S MY FORMAL  
16 PRESENTATION. IF THERE'S ANY QUESTIONS THAT I CAN  
17 ANSWER, I'D LIKE TO DO THAT AT THIS TIME.

18 CHAIRMAN THOMAS: ANY QUESTIONS FROM  
19 MEMBERS OF THE BOARD? I'D LIKE TO THANK YOU AND  
20 WOULD LIKE TO PARTICULARLY THANK CHILA FOR HER  
21 INCREDIBLE WORK IN HELPING TO COORDINATE ALL OF THIS  
22 AND JUST ALL THE FINANCIAL WORK SHE DOES THROUGHOUT  
23 THE YEAR, WHICH IS SO FIRST-RATE AND IS REFLECTED  
24 HERE IN THIS REPORT. SO, CHILA, THANK YOU VERY  
25 MUCH.

**BARRISTERS' REPORTING SERVICE**

1 DO YOU HAVE A COMMENT, MR. GOLDBERG?

2 MR. GOLDBERG: YES. I JUST WANTED TO  
3 SECOND THAT. MY APPRECIATION AND CONGRATULATIONS  
4 TO, OF COURSE, CHILA, WHO HAS KIND OF QUARTERBACKED  
5 THIS, BUT ALSO TO ALAN AND THE ENTIRE STAFF BECAUSE  
6 IT REQUIRES ALL OF YOU TO BE ABLE TO GENERATE THIS  
7 KIND OF SUPERB ACCOUNTING AND AUDIT PERFORMANCE.

8 WHAT WE LIKE AT THE FINANCE SUBCOMMITTEE  
9 IS TO BE AS UNDER THE RADAR AS POSSIBLE TO THE REST  
10 OF YOU. AND THANKS FOR ALLOWING US TO BE THAT FOR  
11 THIS BOARD, SO IT DOESN'T HAVE TO SPEND ITS TIME ON  
12 DEALING WITH UNTIDY AND IMPROPER ISSUES. IT'S AN  
13 EXTRAORDINARY THING IN AN ORGANIZATION THIS LARGE  
14 AND COMPLEX TO HAVE THE LONG RECORD OF CLEAN  
15 OPINIONS AND UNMODIFIED OPINIONS THAT WE'VE HAD.  
16 AGAIN, HATS OFF TO ALL OF THOSE PEOPLE RESPONSIBLE  
17 FOR THAT. THANK YOU.

18 CHAIRMAN THOMAS: THANK YOU. MR.  
19 HARRISON, WE JUST ACCEPT THE REPORT, CORRECT? OKAY.  
20 THANK YOU VERY MUCH.

21 MR. GODSEY: THANK YOU. GOOD MORNING.

22 CHAIRMAN THOMAS: OKAY. LET'S GO ON. WE  
23 HAVE ONE ISSUE REMAINING FROM YESTERDAY THAT WE  
24 DIDN'T GET TO IN OUR WORKSHOP, WHICH WOULD NOW LIKE  
25 TO ADDRESS. DR. TROUNSON IS GOING TO SPEAK ABOUT

**BARRISTERS' REPORTING SERVICE**

1 THE HAPLOTYPE BANK.

2 DR. TROUNSON: HI. JUST BEFORE I START, I  
3 WANTED TO SAY THAT DURING MY TIME HERE, THE SIX  
4 YEARS, WE'VE HAD ABSOLUTELY CLEAN AUDIT REPORTS ON  
5 EVERY OCCASION. SO BOTH CHILA AND MARGARET  
6 FERGUSON, WHO WAS HERE BEFORE CHILA, AND DURING MY  
7 TIME WERE ASTONISHINGLY GOOD IN ACTUALLY DOING THIS  
8 JOB. AND I THINK THEY'RE ABSOLUTELY FANTASTIC  
9 PEOPLE, AND THEY COME FROM AN EXPERIENCE AND A  
10 BACKGROUND WHICH IS SUPERB.

11 BUT I ALSO WANTED TO THANK MICHAEL  
12 GOLDBERG, WHO SAT IN THE FINANCE CHAIR DURING MY  
13 TIME HERE. AN EASIER PERSON TO WORK WITH, THERE'S  
14 NONE. IN THAT SENSE IT'S MADE THIS PART OF IT SEEM  
15 REALLY, REALLY EASY. SO I DON'T THINK THERE HAS  
16 BEEN A SINGLE ISSUE, PERHAPS EXCEPT THE ODD COMMENT  
17 SOMEWHERE ABOUT TRAVEL EXPENSES FROM SOMEWHERE ELSE,  
18 BUT THE FINANCES HAVE BEEN EXEMPLARY. SO THANKS TO  
19 ALL THE STAFF, ALL OF THEM, WHO DO THIS GREAT WORK,  
20 AND FOR THE FINANCE COMMITTEE IN SUPPORTING US IN  
21 DOING THAT. REALLY WANTED TO THANK YOU, MICHAEL.  
22 IT'S REALLY MEANT A LOT FOR ME FOR THAT SIX YEARS TO  
23 HAVE YOU THERE. WONDERFUL.

24 SO THIS IS A LEFTOVER FROM YESTERDAY. AND  
25 I WANTED TO BRING SOMETHING TO YOUR ATTENTION

**BARRISTERS' REPORTING SERVICE**

1 BECAUSE I THINK IT'S VERY IMPORTANT AND SOMETHING  
2 THAT'S REALLY WORTH CONSIDERING. THE FIRST FEW  
3 SLIDES DON'T RELATE TO THE ISSUE AT ALL, BUT I  
4 THOUGHT, BECAUSE IT COULD BE CONFOUNDED WITH WHAT WE  
5 ALREADY DO WITH THE IPS CELL BANK, I'LL JUST REMIND  
6 YOU THAT WE ARE FUNDING AN IPS CELL BANK FOR  
7 RESEARCH. AND WE'VE BEEN THROUGH THAT, AND THAT'S  
8 BEING ESTABLISHED AT THE BUCK INSTITUTE NOW WITH THE  
9 DERIVERS THERE AND THE BANK WILL BE THERE.

10 AND SO THIS IS REALLY ABOUT DISEASE  
11 MODELING AND TARGET DISCOVERY FOR COMPLEX DISEASES.  
12 AND IT'S REALLY A RESEARCH ACTIVITY WHERE TISSUE  
13 COLLECTION FOR SOME VERY SPECIFIC COMPLEX DISEASES  
14 AND THE DERIVATION AND BANKING IS HAPPENING SO THAT  
15 WE CAN EXPLORE THE ISSUES OF DISEASE PHENOTYPE  
16 COMPARED TO A NORMAL PHENOTYPE TO TRY AND FIND WHAT  
17 IS GOING WRONG, PARTICULARLY IN GENETIC PATHWAYS,  
18 WITH THESE INDIVIDUALS.

19 WE'VE ALREADY UNDONE SOME THINGS. AND THE  
20 ISSUE OF AUTISM IS CURRENTLY BEING UNDONE BY THIS  
21 RESEARCH. SCHIZOPHRENIA, MANY DIFFERENT THINGS ARE  
22 BEING UNDONE, BEING ELABORATED, THE CAUSES ARE BEING  
23 ELABORATED BY THIS KIND OF RESEARCH. SO WE WOULD  
24 HOPE IN THE FUTURE THAT WE WOULD GET INSIGHTS INTO  
25 THE DISEASE MECHANISMS AND TARGET DISCOVERIES

**BARRISTERS' REPORTING SERVICE**

1 THROUGH THAT BANK. AND SO THESE ARE THE CONDITIONS  
2 THAT WE'VE BEEN COLLECTING TISSUE FROM:  
3 NEURODEVELOPMENT DISORDERS IN JUVENILE; IDIOPATHIC  
4 AUTISM; THAT IS, AUTISM OF UNKNOWN CAUSE.  
5 IDIOPATHIC, IDIOPATHIC IS UNKNOWN CAUSE. FAMILIAL  
6 DILATED CARDIOMYOPATHY; VIRAL HEPATITIS, THAT IS,  
7 SOME PATIENTS ARE MUCH MORE SUSCEPTIBLE THAN OTHERS  
8 TO VIRAL HEPATITIS. AND WE WANT TO TRY AND  
9 UNDERSTAND WHAT THAT IS SO THAT WE CAN HELP PROTECT  
10 THOSE PEOPLE WHO ARE PARTICULARLY SENSITIVE TO  
11 INFECTIONS. AND WE HOPE THAT THAT WORK WILL RESULT  
12 IN SOME HELP IN INFECTIOUS DISEASES.

13 IDIOPATHIC PULMONARY FIBROSIS OF THE  
14 LUNGS, A TERRIBLE CONDITION FOR WHICH THERE IS NO  
15 TREATMENT CURRENTLY AND ONE THAT I USED TO WORK ON.  
16 SO I KNOW REAL PROBLEMS IN THAT DISEASE. BLINDING  
17 EYE DISEASES, WHICH WE REALLY DON'T KNOW MUCH OF THE  
18 CAUSE OF. AND, OF COURSE, THE BIGGY IN MANY  
19 RESPECTS IS ALZHEIMER'S DISEASE, A GROWING EPIDEMIC.

20 SO THIS IS ABOUT RESEARCH. I WANT TO TALK  
21 TO YOU ABOUT SOMETHING ELSE BECAUSE THE WAY WE NEED  
22 TO DELIVER OUR THERAPIES, UNLESS THEY ARE  
23 AUTOLOGOUS, THAT IS, YOU'RE TAKING IN YOUR OWN  
24 CELLS, THERE'S A PROBLEM BECAUSE THE BODY WILL  
25 REJECT THOSE CELLS. AND MAYBE UNDER SOME CONDITIONS

## BARRISTERS' REPORTING SERVICE

1 IN THE EYE YOU CAN GIVE LOCAL IMMUNOSUPPRESSION THAT  
2 WILL KEEP ALLOGENEIC CELLS FROM BEING LOST AND  
3 PERHAPS IN THE CENTRAL NERVOUS SYSTEM, BUT THERE IS  
4 EVIDENCE -- THERE IS SOME EVIDENCE THAT ALLOGENEIC  
5 CELLS WILL BE LOST EVEN FROM THE CENTRAL NERVOUS  
6 SYSTEM.

7 IMMUNOLOGICAL REJECTION IS A THING WHICH  
8 IS CUTTING OFF AND WILL CONTINUE TO CUT OFF OUR  
9 ABILITY TO DELIVER CELL THERAPIES TO THE PATIENT  
10 POPULATIONS OF CALIFORNIA AND THE WORLD. SO WE  
11 COULD USE INDIVIDUALIZED IPS CELLS; THAT IS, TAKE A  
12 CELL FROM YOU AND USE IT IN AN AUTOLOGOUS MANNER.  
13 THAT'S CERTAINLY A POSSIBILITY FOR THE FUTURE. BUT  
14 MOST PEOPLE IN THE CLINICAL MEDICINE BELIEVE THIS IS  
15 NOT REALLY FEASIBLE BECAUSE OF THE COST. AND THE  
16 OTHER IMPORTANT THING IS THE TIME IT TAKES TO GET A  
17 MATURE CELL FROM A PATIENT THROUGH TO AN IPS CELL  
18 AND THEN THROUGH DIFFERENTIATION INTO THE CELL TYPE  
19 THAT YOU WANT. SO THAT PERIOD OF TIME CAN BE AS  
20 LONG AS NINE OR TWELVE MONTHS.

21 NOW, THAT ISN'T GOING TO HELP SOME  
22 PATIENTS THAT ARE CONSIDERABLY WORSE OFF AFTER 12  
23 MONTHS, AND IN MANY CASES YOU REALLY WANT TO BE ABLE  
24 TO DO THIS QUICKLY AND EFFECTIVELY WITH YOUR  
25 PATIENTS. SO IT'S KIND OF UNLIKELY THAT WE'LL DO IT

**BARRISTERS' REPORTING SERVICE**

1 IN AN AUTOLOGOUS MANNER FOR THE COST AND TIME.

2 SOMATIC CELL NUCLEAR TRANSFER IS ANOTHER  
3 POSSIBILITY, BUT IT HAS EXACTLY THE SAME PROBLEMS OF  
4 DERIVATION, PERHAPS A LITTLE BIT SHORTER, BUT THERE  
5 ARE REAL ISSUES OF BEING ABLE TO DO THAT WITH THE  
6 MATERIALS THAT ARE CURRENTLY AVAILABLE. SO THAT'S  
7 NOT REALLY AN OPTION EITHER.

8 WE COULD GENETICALLY MANIPULATE IPS CELLS  
9 TO REDUCE THEIR IMMUNOGENICITY. AND SO THIS IS A  
10 REALLY -- AND I KNOW THAT THERE ARE PAPERS IN THE  
11 PRESS AND RESEARCHERS WERE ABLE TO FIND WAYS IN  
12 WHICH YOU CAN KNOCK OUT THE ANTIGENS WHICH THE BODY  
13 RECOGNIZES AS A FOREIGN. SO YOU CAN ACTUALLY DO  
14 THAT. THE PRIMARY PROBLEM IS IF THOSE CELLS THEN  
15 BECOME CANCEROUS OR BEEN INFECTED, THERE'S NO WAY  
16 THE BODY CAN STOP IT. SO YOUR IMMUNE SYSTEM NEEDS  
17 TO BE EFFECTIVE FOR ALL THE CELLS IN YOUR BODY. SO  
18 IF YOU'VE KNOCKED OUT THE ABILITY FOR THE CELLS TO  
19 IN ANY WAY RECOGNIZE THAT, THERE'S A POTENTIAL THERE  
20 THAT'S PROBABLY NOT GOING TO BE A GOOD IDEA. AND  
21 IT'S CERTAINLY A CONCERN IN THE FIELD AMONGST  
22 IMMUNOLOGISTS, THAT WE SHOULD BE REALLY, REALLY  
23 CAREFUL ABOUT KNOCKING OUT INMATE IMMUNITY FOR THESE  
24 KIND OF CELLS. SO THAT'S A POSSIBILITY, BUT NOT  
25 LIKELY RIGHT NOW.

**BARRISTERS' REPORTING SERVICE**

1 INDUCTION OF IMMUNE TOLERANCE, WE'VE BEEN  
2 FUNDING IT. THERE'S A LOT OF WORK THAT'S BEEN GOING  
3 ON IN THE FIELD. WE STILL DON'T HAVE AN IMMUNE  
4 TOLERANCE WAY OF DOING IMMUNE TOLERANCE. IT JUST  
5 DOESN'T EXIST YET, NOT YET. MAYBE IT WILL COME, BUT  
6 IT'S NOT THERE. SO TO EDUCATE THE BODY THAT YOU ARE  
7 GOING TO PUT CELLS IN AND THOSE CELLS WILL BE  
8 ACCEPTED WITHOUT THE ATTENDANT DIFFICULTIES OF  
9 CONTROLLING WHAT HAPPENS TO THOSE CELLS AFTERWARDS,  
10 THAT'S STILL AN OPTION.

11 SO WHAT CAN WE DO NOW IS THAT COULD ENABLE  
12 US TO TRANSPLANT THESE CELLS WITHOUT THE CURRENT  
13 ISSUES OF THE LONG-TERM, LIFETIME IMMUNOSUPPRESSION,  
14 WHICH IN ITSELF IS INCREDIBLY DANGEROUS AND ALSO  
15 BRINGS SECONDARY EFFECTS TO THE PATIENTS. THE ONE  
16 THING THAT WE SCIENTISTS AGREE WITH INTERNATIONALLY  
17 IS THAT AN HLA MATCHING BANK, A BANK OF CELLS WHICH  
18 ARE MATCHED TO THE PATIENTS ACROSS THE MAJOR  
19 HISTOCOMPATIBILITY ANTIGENS, WOULD BE VERY  
20 EFFECTIVE. SO IF WE CAN MATCH EMBRYONIC STEM CELL  
21 OR CAN MATCH IPS CELLS TO THE PATIENT ACROSS THE  
22 MAJOR HISTOCOMPATIBILITY ANTIGENS, THEN MAYBE SOME  
23 LIGHT IMMUNOSUPPRESSION WOULD BE NECESSARY FOR THE  
24 MINOR ANTIGENS OR PERHAPS NOT ANYTHING AT ALL.

25 SO A NUMBER OF US INTERNATIONALLY HAVE



**BARRISTERS' REPORTING SERVICE**

1 ARGUED THAT WE SHOULD CREATE A NATIONAL OR  
2 INTERNATIONAL STEM CELL BANK OF HAPLOTYPE IPS CELLS  
3 THAT IS COMPRISED OF EMBRYONIC STEM CELLS, BUT  
4 THAT'S VERY, VERY DIFFICULT TO DO, OR IPS CELLS  
5 SELECTED TO BE IMMUNOLOGICALLY COMPATIBLE WITH A  
6 LARGE PROPORTION OF THE POTENTIAL RECIPIENT  
7 POPULATION.

8 HOW LARGE WOULD THAT POTENTIAL STEM CELL  
9 BANK NEED TO BE TO MATCH EVERYBODY? SO THIS IS A  
10 REALLY IMPORTANT QUESTION. SO IF YOU LOOK AT  
11 POPULATING AN IPS BANK TO FACILITATE THIS MATCH, YOU  
12 NEED TO THINK ABOUT THE REALLY MAJOR  
13 HISTOCOMPATIBILITY ANTIGENS WHICH ARE CAUSING THE  
14 TROUBLE. AND THEY'RE THE HLA-A'S, THE -B'S, AND THE  
15 -DR COMBINATIONS, AND THERE'S A LARGE NUMBER OF  
16 THEM. SO YOU'VE GOT THREE TYPES OF ANTIGENS THAT  
17 YOU'VE GOT TO BE CAREFUL ABOUT.

18 AND IF WE GET GENETICISTS WHO HAVE BEEN  
19 LOOKING AT THE UTILITY OF EACH OF THE THEORETICAL  
20 HOMOZYGOUS COMBINATIONS BECAUSE WHAT YOU DON'T WANT  
21 IS TO DOUBLE THE COMPLEXITY BY HAVING THE GENES ON  
22 ONE SIDE DIFFERENT THAN THE GENES ON THE OTHER. SO  
23 IF YOU CHOOSE TO BE HOMOLOGOUS, YOU WILL HAVE THE  
24 SAME SET OF GENES. SO SOME PEOPLE ARE HOMOLOGOUS  
25 ACROSS THESE GENES, AND THESE ARE THE ONES, IF WE

**BARRISTERS' REPORTING SERVICE**

1 COULD FIND THEM, WOULD BE REALLY VALUABLE. AND I'LL  
2 DEMONSTRATE THIS IN A MINUTE.

3 SO WE CAN DETERMINE WHICH OF THESE USEFUL  
4 THEORETICAL COMBINATIONS COULD EXIST AMONGST THE 22  
5 MILLION HLA-TYPED VOLUNTEERS THAT ARE ON THE BONE  
6 MARROW BANKS WORLDWIDE. AND THERE'S A BONE MARROW  
7 REGISTRY WHICH TELLS YOU WHAT THEY ARE. AND IF YOU  
8 WORK THAT PROCESS THROUGH, YOU CAN COME TO AN  
9 UNDERSTANDING OF HOW MANY CELLS THAT YOU MIGHT NEED.

10 SO IN THE CASE WHERE THEY'VE DONE THESE  
11 CALCULATIONS FOR THE JAPANESE POPULATION, AS SHOWN  
12 IN THIS FIGURE HERE, SO ON THE X AXIS IS THE NUMBER  
13 OF HOMOLOGOUS COMBINATIONS THAT YOU NEED ACROSS  
14 THOSE TO GIVE YOU COVERAGE FOR 90 PERCENT OF THE  
15 POPULATION. AND IT IS ONLY 50 CELL TYPES, ONLY 50,  
16 WILL COVER 90 PERCENT OF THE JAPANESE POPULATION.  
17 SO THAT'S A RELATIVELY HOMOGENEOUS POPULATION IN  
18 JAPAN, RELATIVELY. BUT 50 OF THEM WILL COVER 90  
19 PERCENT OF THAT POPULATION.

20 SO IF YOU LOOK AT THE UNITED KINGDOM,  
21 WHICH IS A MORE DIVERSE POPULATION, WHAT WOULD IT  
22 TAKE THERE? SO IF YOU LOOK AT THE THEORETICAL  
23 CALCULATIONS, TO GET 90 PERCENT, LOOKS TO BE AROUND  
24 ABOUT 120 CELL LINES. SO MORE THAN DOUBLE, BUT 120  
25 OF THESE CELL LINES WOULD COVER 90 PERCENT OF THE

## BARRISTERS' REPORTING SERVICE

1 WHOLE OF THE UNITED KINGDOM. AND IT'S QUITE A MIXED  
2 POPULATION IN THE UNITED KINGDOM. AND IT COMES DOWN  
3 TO LOOKING AT WHAT THEY ACTUALLY ARE. SO IF YOU  
4 TAKE THE DATA FROM THE BONE MARROW BANKS, IT COMES  
5 OUT PRETTY MUCH EXACTLY TO WHAT YOU PREDICT.  
6 ROUNDABOUT 120 CELL LINES WILL COVER 90 PERCENT OF  
7 THE UNITED KINGDOM.

8 WE HAVEN'T DONE THE CALCULATIONS YET FOR  
9 CALIFORNIA OR FOR OTHER POPULATIONS YET, BUT IT  
10 WOULD BE A FAIR THING TO BELIEVE THAT IT WOULD BE  
11 CERTAINLY LESS THAN 200 AND PROBABLY LESS THAN 150  
12 CELL LINES WOULD COVER 90 PERCENT OF CALIFORNIA  
13 PATIENTS. WE CAN DO THOSE CALCULATIONS. WE CAN GET  
14 SOMEBODY. IT'S NOT THAT DIFFICULT TO DO. YOU NEED  
15 TO KNOW WHAT ARE THE BONE MARROW VARIANCES IN  
16 CALIFORNIA. AND THERE WILL BE, OF COURSE, A LOT OF  
17 LATIN PATIENTS IN THAT. SO I DON'T MEAN LATIN. I  
18 MEAN HISPANIC POPULATION. SO YOU COULD LOOK AT THAT  
19 AND FIND OUT WHAT WOULD TAKE. AND I PREDICT IT  
20 WOULD BE CERTAINLY LESS THAN 200 AND PROBABLY AROUND  
21 150 OR LESS TO DO THAT.

22 SO LET ME GIVE YOU THE EXAMPLE. HERE,  
23 LOOKING AT THAT TIME THE UK, THREE OF THESE  
24 HOMOZYGOUS CELL TYPES SHOWN THERE. SO A1, B8, C7,  
25 DR17, AND DQ2. THE NUMBER OF POTENTIAL HLA-MATCHED

## BARRISTERS' REPORTING SERVICE

1 DONORS ARE GREATER THAN 20,000 ON THE WORLD BONE  
2 MARROW BANKS. SO IT'S A RELATIVELY COMMON HLA TYPE.  
3 IT WOULD MATCH 17 PERCENT OF ALL THE PATIENTS IN THE  
4 UK. SO THAT ONE ALONE WILL MATCH 17.

5 AND THEN AS YOU MOVE DOWN IN THE LIST, THE  
6 NEXT ONE WILL MATCH 11 PERCENT OF THE POPULATION.  
7 SO IT'S A LITTLE BIT LESS COMMON. AND THEN THE NEXT  
8 ONE WOULD MATCH 9 PERCENT. WITH THOSE THREE, YOU'VE  
9 GOT 35 PERCENT OF THE POPULATION. SO YOU CAN SEE  
10 YOU CAN BUILD THIS UP. AND IF YOU TAKE THE FIRST  
11 15, YOU GET TO 60 PERCENT OF THE POPULATION. SO THE  
12 ONES THAT ARE MORE DIFFICULT TO GET, ARE THE RARER,  
13 THE RARER HAPLOTYPES, BUT THEY CAN BE FOUND. SO  
14 WORLDWIDE WE WANT TO SET UP BANKS AROUND THE WORLD  
15 THAT WILL ENABLE EVERYBODY TO ACCESS THESE CELL  
16 LINES FOR CELL THERAPIES IN THE FUTURE.

17 SO WHAT SHOULD WE DO? WHAT SHOULD CIRM  
18 DO? I THINK CIRM OUGHT TO BE PART OF THIS. I THINK  
19 WE ARE THE STEM CELL LEADERS IN THE FIELD. WE OUGHT  
20 TO BE PART OF IT. AND HOW COULD WE BE PART OF IT?  
21 WELL, I THINK WE COULD ACTUALLY FIND OUT THE  
22 PATIENTS WHO ARE HERE IN CALIFORNIA, AND WE COULD  
23 USE, FOR EXAMPLE, THE ALPHA STEM CELL CLINICS WHO'VE  
24 GOT ALL THE PEOPLE THAT YOU NEED TO GO TALK TO THE  
25 PATIENTS AND GET THEIR CONSENT TO DONATE FOR THE

**BARRISTERS' REPORTING SERVICE**

1 GLOBAL GOOD.

2 AND I THINK IF YOU HAD IN MIND THAT YOU  
3 COULD MAKE A BANK OF 150 TO 200, AT THE MAXIMUM, SO  
4 IT MIGHT BE 150, MIGHT BE 200, TO COVER 90 PERCENT  
5 OF CALIFORNIA, THAT WOULD TAKE SOME TIME BECAUSE THE  
6 RARE ONES ARE MORE DIFFICULT TO FIND, BUT IT WOULD  
7 MAYBE TAKE TWO OR THREE YEARS, THREE OR FOUR YEARS  
8 TO GET IT ALTOGETHER, BUT YOU WOULD GET IN THE  
9 BEGINNING A COVERAGE OF QUITE A FEW OF THEM JUST  
10 WITH THE MORE COMMON HAPLOTYPES. AND IF WE SHARED  
11 IT ACROSS THE WORLD, WE COULD GET THOSE MORE  
12 EXTREMELY UNCOMMON ONES.

13 SO I'M SUGGESTING THAT WE COULD HELP -- WE  
14 COULD GET THE STEM CELL -- THE ALPHA STEM CELL  
15 CLINICS TO HELP ON THE PATIENT SIDE TO TALK TO THOSE  
16 WHO HAVE GOT THESE HAPLOTYPES. THE BONE MARROW  
17 BANKS ARE VERY OPEN TO THIS. I THINK THE PATIENTS  
18 YOU COULD GET TO TALK TO WOULD BE VERY SUPPORTIVE  
19 GENERALLY, I THINK, IN PROVIDING THOSE CELLS FOR THE  
20 COMMON GOOD OF ALL PEOPLE.

21 AND THEN YOU NEED SOMEONE TO DERIVE THE  
22 IPS CELLS, AND THEN THEY NEED TO BE BANKED  
23 SOMEWHERE. AND THE BANK SHOULDN'T BE WHAT WE'RE  
24 USING FOR OUR CURRENT BANK, BUT IT NEEDS TO BE A  
25 BIOBANK. AND OUR ALPHA CLINICS ARE GOING TO HAVE TO

## BARRISTERS' REPORTING SERVICE

1 HAVE BIOBANKS ASSOCIATED WITH THEM BECAUSE THEY'RE  
2 INVOLVED IN CELL THERAPY. SO I THINK THIS ACTIVITY  
3 COULD WELL AND TRULY BE UTILIZED THROUGH OUR ALPHA  
4 STEM CELL CLINICS, BUT WE NEVER HAVE GIVEN THE  
5 THOUGHT TO THIS BEFORE THIS TIME. I THOUGHT AS I'M  
6 APPROACHING THE TIME WHEN I'M LEAVING, I'M ACTUALLY  
7 CURRENTLY ON THE GLOBAL STEERING COMMITTEE OF TEN TO  
8 TWELVE PEOPLE WHO ARE TRYING TO ORGANIZE THIS ACROSS  
9 THE GLOBE.

10 I THINK IT MIGHT TAKE TEN TO \$12 MILLION  
11 TO SET UP THIS BANK HERE IN CALIFORNIA. AND THIS  
12 WOULD GIVE YOU PRETTY GOOD COVERAGE OF CALIFORNIA,  
13 AND THEN WE'D BLEND IT IN WITH THE GLOBAL BANKS. I  
14 THINK YOU WOULD BE ABLE TO ACCESS EVEN THOSE MORE  
15 RARE TYPES OF HAPLOTYPES. SO I WANTED TO GIVE YOU  
16 THAT INFORMATION AS PART OF YESTERDAY. WE'RE NOT  
17 ASKING YOU FOR THAT MONEY NOW BECAUSE WE REALLY  
18 HAVEN'T SAT DOWN AND TRIED TO DO THE CALCULATION  
19 SPECIFICALLY. AND WE WOULD HAVE TO DO SOME  
20 GENOTYPING ASSOCIATED WITH THIS BECAUSE YOU WOULDN'T  
21 WANT TO PUT CELLS IN THE BANK THAT HAD THE RIGHT  
22 HAPLOTYPE, BUT HAD SOMETHING WRONG WITH AN ONCOGENE  
23 OR A DISEASE, A KNOWN ERROR IN A DISEASE GENE. THAT  
24 COULD BE FOOLISH TO HAVE THEM BANKED. SO WE'D NEED  
25 TO DO SNP ANALYSIS TO MAKE SURE WE WEREN'T PUTTING

**BARRISTERS' REPORTING SERVICE**

1 THE SO-CALLED WRONG ONES, IF YOU LIKE, IN A BANK.  
2 SO THERE WOULD BE SOME GENOTYPING  
3 ASSOCIATED WITH IT AS WELL. AND WE HAVEN'T REALLY  
4 HAD THE TIME TO SIT DOWN AND DO THAT. WE WOULD  
5 PROBABLY TRY AND WORK THIS THROUGH TO BRING IT TO  
6 YOU HOPEFULLY BEFORE I LEAVE WITH THE HELP OF  
7 NATALIE DEWITT AND MIKE YAFFE, PUT A PROPOSAL BACK  
8 TO THE BOARD. SO THIS WAS FOR INFORMATION. I'M  
9 HAPPY TO ANSWER QUESTIONS. I THINK THIS IS THE KIND  
10 OF LEADERSHIP CIRM SHOULD SHOW GLOBALLY. IT'S KIND  
11 OF UP TO YOU TO THINK ABOUT AND EVENTUALLY RESPOND  
12 WHEN WE BRING SOMETHING FORWARD. BUT I THOUGHT AS  
13 PART OF YESTERDAY'S DISCUSSIONS THAT MAYBE YOU OUGHT  
14 TO CONSIDER THAT THIS MIGHT HAPPEN SOONER. AND IF I  
15 TURNED UP HERE IN MARCH WITH THAT AND HAVING NOT  
16 TOLD YOU, I THINK YOU QUITE RIGHTLY WOULD SAY WHY  
17 DIDN'T YOU SAY SOMETHING ABOUT IT BACK IN DECEMBER.  
18 I'M OPEN TO QUESTIONS IF YOU LIKE.

19 CHAIRMAN THOMAS: OKAY. THANK YOU, ALAN.

20 DR. DULIEGE: THANK YOU. INDEED IT'S A  
21 BRILLIANT IDEA. AND SO THANK YOU TO YOU AND THE  
22 CIRM TEAM. AND I ALSO APPRECIATE THAT YOU ARE  
23 THINKING GLOBALLY, NOT JUST ABOUT CALIFORNIA OR THE  
24 U.S. POPULATION.

25 HOW ACTIVE ARE OTHER COUNTRIES BEYOND

**BARRISTERS' REPORTING SERVICE**

1 JAPAN AND UK IN THIS EFFORT?

2 DR. TROUNSON: VERY ACTIVE. THEY'RE VERY  
3 ACTIVE IN GERMANY, IN FRANCE, AND CHINA, KOREA.  
4 THEY'RE ALL WANTING TO BE PART OF THIS. AND SO WE  
5 ARE ALSO NOW TALKING TO INDIA BECAUSE THE INDIAN  
6 SUBCONTINENT IS PRETTY BIG. AND THEN I'VE ALSO  
7 TALKED TO THE QATAR FOUNDATION BECAUSE I WANTED TO  
8 MAKE SURE WE COVERED THE AFRICAN POPULATIONS. AND  
9 QATAR IS TRYING TO TAKE A LEADERSHIP IN REGENERATIVE  
10 MEDICINE AND KNOW THEY ARE VERY POSITIVE ABOUT IT.  
11 I WILL BE MEETING THEM IN APRIL AGAIN, AND WE EXPECT  
12 THEM TO DO THIS.

13 WE WOULD BE -- WE'RE ALL TRYING TO SORT OF  
14 GET A LITTLE BIT OF MONEY TO HELP MAKE SURE THAT WE  
15 KEEP THE GLOBAL COMPONENT ACTIVE SO THAT WHEN WE  
16 DERIVE THEM, WE DO IT IN A WAY WHERE EVERYBODY CAN  
17 ACCEPT THE CELLS, NOT JUST CALIFORNIA, BUT THEY'RE  
18 MADE IN A WAY WHICH WOULD BE ACCEPTABLE INTO CHINA,  
19 INTO THE UK, INTO WHEREVER. SO IT NEEDS PEOPLE TO  
20 SORT OF WORK TOGETHER TO ENABLE THAT YOU CAN SHIP  
21 THESE CELLS AROUND FOR THE PATIENTS. THEY NEED TO  
22 BE MADE UNDER CERTAIN CONDITIONS AND BEST PRACTICES  
23 AND SO FORTH. SO WE WOULD BE LOOKING FOR A LITTLE  
24 BIT OF MONEY FROM EVERYBODY TO HELP MAKE SURE THAT  
25 THAT GLOBAL INITIATIVE KEEPS THE THING TOGETHER SO



**BARRISTERS' REPORTING SERVICE**

1 WE CAN ACCESS EVERYBODY.

2 BUT THEY'RE VERY ACTIVE. THE FRENCH  
3 PEOPLE HAVE STARTED, THE JAPANESE PEOPLE HAVE  
4 STARTED THEMSELVES. WE HAVEN'T, BUT I'M SUGGESTING  
5 THAT WE SHOULD. THE UK WILL BE STARTING SHORTLY, I  
6 THINK, AND SO ON. SO IT WILL BE A GLOBAL MOVEMENT,  
7 AND I THINK, AS YOU SAY, I THINK WE SHOULD BE PART  
8 OF IT.

9 DR. BRENNER: I THINK IT WOULD HELPFUL, IF  
10 POSSIBLE, TO DO THE CALCULATION OF HOW MANY WE NEED  
11 BEFORE WE HAVE TO VOTE WHEN WE COME WITH IT BECAUSE  
12 WE NEED TO KNOW WHAT THE NUMBER IS.

13 DR. TROUNSON: I THINK WE WOULD TRY TO DO  
14 IT. THERE ARE SOME CLINICAL GENETICISTS WHO  
15 PROBABLY HAVE INFORMATION, DAVID, AND WE CAN GET TO  
16 IT REASONABLY QUICKLY BECAUSE I'M IN CONTACT WITH  
17 THE PEOPLE WHO HAVE MADE CALCULATIONS BOTH IN JAPAN  
18 AND IN THE UK. AND I'D GET MICHAEL AND NATALIE TO  
19 SORT OF, IN MY ABSENCE, SORT OF WORK WITH THOSE  
20 PEOPLE TO GET THE NUMBERS IF WE CAN. I'M PRETTY  
21 SURE IT'S GOING TO BE LESS THAN 200, AND PROBABLY  
22 AROUND 150. LET'S SAY IT'S A LITTLE BIT MORE  
23 DIVERSE THAN THE UK. I'M NOT SURE IT IS, BUT WE  
24 SHOULD FIND OUT.

25 DR. HAWGOOD: ALAN, I AGREE WITH

**BARRISTERS' REPORTING SERVICE**

1 ANNE-MARIE THAT THIS SOUNDS LIKE A BRILLIANT IDEA.  
2 IT'S VERY MUCH AN IPS CELL IDEA. HOW MANY OF EITHER  
3 OUR PROTOCOLS OR PROTOCOLS AROUND THE WORLD THAT ARE  
4 HEADED FOR THE CLINIC ARE IPS CELL BASED VERSUS  
5 EMBRYONIC STEM CELL BASED? THIS DOESN'T REALLY  
6 ADDRESS ANY ISSUES WITH THE EMBRYONIC CELLS.

7 DR. TROUNSON: THE ONLY ONE THAT'S MOVING  
8 INTO CLINICAL TRIAL THAT I KNOW OF RIGHT NOW IS THE  
9 JAPANESE WORK ON THE EYE, SAM. BUT WE WOULD EXPECT  
10 IT TO BE STRONGLY REPRESENTED IN THE FUTURE. THE  
11 THING ABOUT THIS IS WE NEED TO GET STARTED BEFORE  
12 THEY'RE READY TO COME ON BOARD BECAUSE OTHERWISE TO  
13 SET IT UP AFTERWARDS IS GOING TO DELAY ANY KIND OF  
14 APPLICATION OF IT, AND WE SHOULDN'T DO THAT.

15 DR. HAWGOOD: I AGREE WITH THAT, BUT IT  
16 IS, IN ESSENCE, A PROJECT THAT IS MAKING A BET ON  
17 IPS CELLS.

18 DR. TROUNSON: YES.

19 DR. HAWGOOD: THE SECOND IS JUST A  
20 TECHNICAL QUESTION. THE GENOTYPING, WHICH MAKES  
21 ABSOLUTE SENSE, BUT MY ASSUMPTION IS, AND CORRECT ME  
22 IF I'M MISSING A TECHNICAL ISSUE, THAT THE  
23 HAPLOTYPING AND THE GENOTYPING, IN EFFECT, COULD BE  
24 ONE STEP.

25 DR. TROUNSON: THAT WOULD BE ONE STEP. I

**BARRISTERS' REPORTING SERVICE**

1 THINK THE SNP ANALYSIS TO MAKE SURE THAT WE DON'T  
2 HAVE DISEASE-RELATED GENE ABERRATIONS IN SOME OF THE  
3 OTHER MAJOR DISEASES. THAT, I THINK, WOULD BE WORTH  
4 KNOWING.

5 DR. HAWGOOD: WOULDN'T YOU GET THAT FROM A  
6 FULL DEEP SEQUENCING?

7 DR. TROUNSON: YOU WOULD. I DON'T THINK  
8 YOU'D DO A DEEP SEQUENCE ON IT. I THINK THAT'S TOO  
9 COSTLY AT THE PRESENT TIME, BUT A MORE SHALLOW LOOK  
10 WOULD PROBABLY BE APPROPRIATE AT THIS POINT IN TIME.  
11 IN THE FUTURE WE CAN ALWAYS GO BACK AND REDO A  
12 DEEPER SEQUENCE IF NECESSARY, BUT I THINK A SNP  
13 ANALYSIS WOULD BE ALL THAT'S REQUIRED RIGHT NOW.

14 MR. SHEEHY: SO I NOTICE ON THE PAPER THAT  
15 MAHENDRA RAO WAS ONE OF THE AUTHORS. SO WHAT IS THE  
16 INVOLVEMENT OF NIH IN THIS?

17 DR. TROUNSON: NIH IS ONE OF -- THEY'RE ON  
18 THE STEERING COMMITTEE, JEFF. THEY'RE STRONGLY  
19 SUPPORTIVE. I DON'T REALLY KNOW ABOUT THE MONEY IN  
20 NIH. THINGS ARE PRETTY TIGHT OVER THERE. BUT WE  
21 DON'T KNOW THAT AT THIS STAGE, BUT THEY'RE INVOLVED  
22 IN THE STEERING COMMITTEE. THEY'RE VERY SUPPORTIVE.  
23 THEY WANT TO BE INVOLVED, YES. AT THIS STAGE THAT'S  
24 THEIR INVOLVEMENT.

25 MR. SHEEHY: IT JUST SEEMS TO ME THAT THIS

**BARRISTERS' REPORTING SERVICE**

1 IS THE TYPE OF -- THIS IS REALLY NOT A STATE EFFORT.  
2 IF IT MAKES SENSE, I THINK CONTRIBUTING TO IT AND  
3 HELPING TO GET THIS OFF THE GROUND, I KNOW THE  
4 SEQUESTER IS INCREDIBLY CHALLENGING IN WASHINGTON,  
5 BUT I THINK THIS IS ONE THING THAT IF WE DO A  
6 PROPOSAL, THIS SHOULD BE VERY WELL INTEGRATED WITH  
7 FEDERAL EFFORTS BECAUSE ULTIMATELY THIS TYPE OF  
8 PROJECT, AS YOU MENTION, IS A NATIONAL GOVERNMENT  
9 PROJECT AND REALLY A GLOBAL PROJECT, AND WE SHOULD  
10 BE INTEGRATED WITH WHATEVER THE FEDERAL GOVERNMENT  
11 IS DOING SO THAT WE'RE NOT PAYING TO DUPLICATE  
12 THINGS.

13 IF THE FEDERAL GOVERNMENT IS MAKING LINES  
14 AND WE'RE MAKING THE SAME LINES, THAT'S NOT A GOOD  
15 USE OF CALIFORNIA TAXPAYER MONEY. SO IF THEY ARE  
16 CLEARLY PARTICIPATING, THEN THE PROPOSAL THAT COMES  
17 FORWARD SHOULD HAVE US INTEGRATED WITH THEM FROM THE  
18 START. AGAIN, IF THIS IS A GOOD PROJECT, I THINK  
19 I'D BE HAPPY PERSONALLY TO PARTICIPATE. BUT I  
20 REALLY DON'T WANT TO BE IN A SITUATION WHERE WE HAVE  
21 TWO BANKS MAKING THE SAME LINES. WE'RE PAYING FOR  
22 ONE AND THE FEDERAL GOVERNMENT IS PAYING FOR THE  
23 OTHER. AS WE WERE DISCUSSING AT LENGTH YESTERDAY,  
24 WE'RE ENTERING A REALM OF FINITE RESOURCES, SO LET'S  
25 ONLY DO THINGS ONCE IF WE CAN DO THEM ONCE.

**BARRISTERS' REPORTING SERVICE**

1 DR. TROUNSON: YOU COULD TAKE THAT TO THE  
2 LIMIT AND SAY, WELL, IF YOU WAIT FOR ALL OF THEM TO  
3 SET UP THE BANKS, THEY'LL BE ACCESSIBLE THROUGH  
4 OTHER BANKS. SO THAT'S A POSSIBILITY. YOU WOULD  
5 WAIT TILL EVERYONE SET IT UP AND YOU WOULD PROBABLY  
6 FIND THAT YOU WOULD GET 90 PERCENT OF THEM THROUGH  
7 OTHER BANKS.

8 NIH AT THIS MOMENT HAVE NOT PUT A PROPOSAL  
9 ABOUT SETTING UP ANY BANKING AT THIS POINT IN TIME  
10 THEMSELVES. SO I DON'T KNOW.

11 MR. SHEEHY: DON'T WE HAVE -- AREN'T WE  
12 COLLABORATING WITH THEM ON SEVERAL THINGS?

13 DR. TROUNSON: OH, YES. WE ARE.

14 MR. SHEEHY: SO THEY ARE SETTING UP IPS  
15 CELL BANKS.

16 DR. TROUNSON: BUT NOT FOR THIS PURPOSE AT  
17 THIS STAGE THAT I KNOW OF.

18 MR. SHEEHY: BUT, AGAIN, THIS IS ABOUT  
19 COORDINATION. IF WE WERE TO SAY THAT WE'RE WILLING  
20 TO PUT UP MONEY, THAT MIGHT STIMULATE SOME ACTIVITY.  
21 I JUST WOULD NOT WANT US TO GET OUT THE GATE WITH  
22 SOMETHING WITHOUT HAVING EXPLORED EVERY OPPORTUNITY  
23 TO LEVERAGE OUR MONEY TO THE NTH DEGREE. AGAIN, WE  
24 TALKED ABOUT IT YESTERDAY. \$10 MILLION COULD MAKE A  
25 BIG DIFFERENCE IN GETTING A THERAPY INTO A PATIENT

## BARRISTERS' REPORTING SERVICE

1       HERE IN CALIFORNIA AS OPPOSED TO DOING SOMETHING  
2       THAT HIGHLY DUPLICATIVE OF WHAT NIH IS DOING.

3               SO I THINK WE NEED TO BE CLEAR. DOES IT  
4       TAKE SO MUCH LONGER TO SYNCHRONIZE OUR EFFORTS WITH  
5       NIH? I DON'T THINK WE'RE GOING TO BE PUTTING THESE  
6       INTO PEOPLE TOMORROW.

7               DR. TROUNSON: WE ARE SYNCHRONIZED. AS I  
8       SAID, THEY'RE ON THE STEERING COMMITTEE, THE GLOBAL  
9       STEERING COMMITTEE. SO THEY'RE SYNCHRONIZED WITH  
10      WHAT EVERYBODY ELSE IS DOING. SO WE'RE TRYING TO  
11      GET THIS TO BE A GLOBAL INITIATIVE, SOMETHING THAT  
12      WE'RE READY FOR DELIVERY OF CELL THERAPIES TO  
13      PATIENTS FOR THE FUTURE. SO THEY ARE IN THAT  
14      INTERNATIONAL STEERING COMMITTEE. MAHENDRA RAO IS  
15      ON IT. WHEN HE'S NOT THERE, ONE OF HIS DEPUTIES IS  
16      THERE. SO THEY'RE PART OF DEVELOPING ALL OF THE  
17      PROCEDURES, RECOMMENDED PROCEDURES, AND SO FORTH  
18      MOVING FORWARD.

19              DR. KRONIRIS: TECHNICAL QUESTION. HOW  
20      LONG, GIVEN CURRENT TECHNOLOGY, IS IT FROM GETTING  
21      THE SAMPLE TO HAVING A USABLE LINE?

22              DR. TROUNSON: AN IPS CELL LINE? IT'S  
23      AROUND ABOUT THREE MONTHS FROM GETTING A SAMPLE TO  
24      HAVING IT APPROPRIATELY CHARACTERIZED AND TESTED.  
25      AROUND THREE MONTHS.

## BARRISTERS' REPORTING SERVICE

1 DR. KRONTIRIS: GIVEN THE NATURE OF THE  
2 THERAPIES AND THE TIMELINE OF THE DISEASES, WOULD IT  
3 BE POSSIBLE TO HAVE THIS AS A VIRTUAL RESOURCE  
4 DEPENDING HEAVILY ON THE PREEXISTING TRANSPLANT  
5 DATABASE, BUT WITH A SUBINFORMATICS COMPONENT THAT  
6 DISEASE-BASED GROUPS WOULD BE ABLE TO FEED INTO AND  
7 GO TO THE DATABANK FOR INDIVIDUALS WHO COULD BE  
8 DONORS FOR SPECIFIC PATIENTS?

9 DR. TROUNSON: I THINK THE IDEA IS FOR  
10 EVERYBODY TO ACCESS THESE BANKS AS FREELY AS  
11 POSSIBLE, LIKE THE BONE MARROW BANKS, THAT THEY'D BE  
12 AVAILABLE.

13 DR. KRONTIRIS: BUT THE DIFFERENCE IS YOU  
14 WOULDN'T HAVE A BANK OF CELLS. YOU WOULD HAVE 22  
15 MILLION PEOPLE WHO WOULD MAKE INDIVIDUAL POINT OF  
16 CONTACT, SO TO SPEAK, CONTRIBUTIONS TO GIVEN  
17 PATIENTS.

18 DR. TROUNSON: MAYBE THE THREE MONTHS, WE  
19 TALKED ABOUT TAKING NINE MONTHS TO GET THE CELLS  
20 THAT YOU REALLY NEED FROM DIFFERENTIATION AFTER ALL  
21 OF THAT AND ALL THE TESTING AND SO ON. AGAIN, IF  
22 YOU DO IT ON AN INDIVIDUAL BASIS, IT'S STARTING TO  
23 BECOME MORE LIKE AN AUTOLOGOUS SYSTEM. SO IT'D BE  
24 BETTER TO HANDLE IT IN SOME SORT OF BULK PROCEDURES,  
25 I THINK.

## BARRISTERS' REPORTING SERVICE

1 MS. WINOKUR: IS THE CDC INVOLVED AT ALL,  
2 CENTER FOR DISEASE CONTROL?

3 DR. TROUNSON: NO. THEY'RE NOT  
4 SPECIFICALLY INVOLVED AT THIS POINT IN TIME. AS I  
5 SAID, THE NIH IS INVOLVED.

6 MS. WINOKUR: WELL, THE CDC HAS  
7 ESTABLISHED REGISTRIES FOR SOME OF THE DISEASES THAT  
8 WE'D BE INTERESTED IN.

9 DR. TROUNSON: I THINK WHAT WE NEED TO  
10 KNOW IS THE DATA OUT OF THE BONE MARROW BANKS, THE  
11 CORD BLOOD BANKS WHERE THEY'RE PUBLIC AND MAYBE THE  
12 APHERESIS DATA THAT'S AVAILABLE FOR PATIENTS. SO  
13 THOSE ARE THE DATABANKS THAT WE NEED TO ACCESS TO  
14 FIND THE INDIVIDUAL.

15 MS. WINOKUR: THEY COLLECT A VARIETY OF  
16 INFORMATION ON EACH REGISTRANT.

17 DR. TROUNSON: SO WE'RE LOOKING FOR THE  
18 SPECIFIC HAPLOTYPES RATHER THAN THE DISEASE PEOPLE.  
19 ANYWAY, I TAKE THE POINT. WE SHOULD LOOK AT  
20 EVERYBODY WHO WILL CONTRIBUTE TO THESE THINGS, BUT  
21 CURRENTLY WE HAVEN'T INCLUDED THEM IN THE  
22 ORGANIZATION. BUT THIS IS EARLY DAYS.

23 DR. BOXER: I THINK IT'S INCREDIBLY  
24 EXCITING TOO. I THINK THIS IS THE KIND OF THING  
25 THAT WE SHOULD BE A LEADER IN. I THINK JEFF'S POINT



**BARRISTERS' REPORTING SERVICE**

1 ABOUT MAKING SURE THAT WE'RE COORDINATING WITH THE  
2 NIH AND GLOBALLY, AND I THINK YOU'VE SAID THAT,  
3 ALAN, IS CRITICALLY IMPORTANT.

4 ONE OTHER COMMENT AND THEN A QUESTION. SO  
5 I'M ASSUMING, YOU TALKED A LITTLE BIT ABOUT THE  
6 GENETIC SCREENING. AND OBVIOUSLY AS WE GAIN MORE  
7 INFORMATION, ALL THE DEEP SEQUENCING, I'M ASSUMING  
8 WE'D HAVE TO GO BACK AND LOOK AT THE STORED SAMPLES,  
9 AND SIMILARLY FOR POSSIBLE INFECTIOUS AGENTS  
10 BECAUSE, AGAIN, WE MAY BE PUTTING THESE INTO PEOPLE  
11 WITHOUT NORMAL IMMUNE SYSTEMS WHO'D BE AT RISK FOR  
12 THAT.

13 DR. TROUNSON: RIGHT. SO YOU WOULD HAVE  
14 TO COVER A LOT OF TESTING TO ENSURE THAT EVERYBODY  
15 WILL ACCEPT THEM. SO IT IS A VERY IMPORTANT POINT.  
16 SO THERE ARE CERTAIN TASK FORCES WITHIN THE GROUP  
17 SETTING UP TO LOOK AT DIFFERENT PARTS OF IT, THE  
18 CONSENT PART, THE DISEASE INFECTION PART, AND SO ON  
19 AND SO ON. SO IT WILL ALL HAVE TO COME TOGETHER IN  
20 AN APPROPRIATE PLAN. WE'LL WE CONTRIBUTING TO THAT  
21 CLEARLY. BUT IF THEY'RE NOT CONSISTENT, IT WILL BE  
22 VERY DIFFICULT FOR US TO SOURCE SOME SAMPLES.

23 DR. BOXER: MY QUESTION IS HOW MANY  
24 DIFFERENT TISSUE TYPES ARE WE ABLE TO DIFFERENTIATE  
25 THESE CELLS INTO NOW? I KNOW THAT'S ONGOING WORK.

**BARRISTERS' REPORTING SERVICE**

1 DR. TROUNSON: IT'S ONGOING. WE'RE REALLY  
2 GOOD AT DOING SOME TISSUES AND STILL DEVELOPING  
3 CAPABILITIES FOR OTHER TISSUES. BUT ANYTHING YOU  
4 CAN DIFFERENTIATE AN EMBRYONIC STEM CELL INTO, YOU  
5 CAN DIFFERENTIATE AN IPS CELL INTO THESE DAYS.  
6 WHETHER THEY ARE EXACTLY EQUIVALENT OR NOT I THINK  
7 IS STILL A MATTER OF DEBATE SOMETIMES, BUT MORE AND  
8 MORE EVIDENCE IS THAT THEY APPEAR TO BE AT LEAST  
9 OPERATIVE IN ANIMAL TRANSPLANTS AT THE SAME LEVEL AS  
10 EMBRYONIC STEM CELLS. THEY'RE FUNCTIONALLY THE  
11 SAME, AND YOU'RE ABLE TO CORRECT MOST OF THE  
12 DISEASES THAT EMBRYONIC STEM CELLS CAN IN ANIMALS.

13 MR. ROWLETT: AGAIN, I APPRECIATE. THIS  
14 SOUNDS VERY EXCITING. I ALSO WANTED TO ACKNOWLEDGE  
15 THE REFERENCE TO MENTAL HEALTH DISORDERS, AUTISM AND  
16 SCHIZOPHRENIA SPECIFICALLY, AND THE POSSIBLE  
17 OUTCOMES FOR CITIZENS AFFLICTED WITH THOSE DISEASES.

18 IN REFERENCE TO MR. SHEEHY'S COMMENTS  
19 ABOUT FINITE RESOURCES, IT WOULD BE HELPFUL, WHEN  
20 YOU COME BACK, TO HEAR, GIVEN THE DUPLICATIVE NATURE  
21 OF THE WORK THAT NIH IS DOING AND WHAT CIRM MIGHT BE  
22 PROPOSING TO DO, HOW, GIVEN THAT, IT STILL MAKES  
23 SENSE BECAUSE OF OUR ABILITY TO INITIATE FAR QUICKER  
24 AND THE DIRECT BENEFIT FOR THE CITIZENS OF  
25 CALIFORNIA VERSUS THE NATIONAL AND GLOBAL LANDSCAPE

**BARRISTERS' REPORTING SERVICE**

1 TO HEAR ABOUT THAT. AND HOW YOU THINK THAT IN SPITE  
2 OF SOME OF THE DUPLICATIVE NATURE OF THE WORK, US  
3 INITIATING THIS MIGHT RESULT IN NIH DOING SOMETHING  
4 A LITTLE BIT DIFFERENTLY THAT WOULD BENEFIT THE  
5 CITIZENS OF CALIFORNIA.

6 AGAIN, I'M GOING TO END WHERE I BEGAN. IT  
7 WAS VERY EXCITING TO HEAR THE REFERENCE TO MENTAL  
8 HEALTH AND HOW THAT MIGHT BENEFIT CITIZENS OF THE  
9 STATE.

10 DR. TROUNSON: RIGHT. SO AS I SAID, AT  
11 THE MOMENT, I DON'T KNOW REALLY WHAT THEY'RE  
12 PROPOSING TO DO. THEY'RE HAVING SOME BUDGETARY  
13 ISSUES, AS YOU KNOW, AT THE PRESENT TIME. SO WE  
14 DON'T REALLY KNOW, BUT I IMAGINE THAT THEY WILL  
15 BECOME INVOLVED. AND AT WHAT TIME I'M NOT SURE.

16 CHAIRMAN THOMAS: LEON, THEN JEFF.

17 DR. FINE: MOST PATIENTS WHO WILL BE THE  
18 RECIPIENTS OF THESE CELLS WILL BE ON PHARMACOLOGICAL  
19 AGENTS FOR THE TREATMENT OF THEIR DISEASE. AND I  
20 THINK IT'S LIKELY THAT PHARMACOGENOMIC ANALYSIS WILL  
21 THROW OUT ALL SORTS OF POLYMORPHISMS THAT WILL  
22 INDICATE EITHER AN INCREASED OR DECREASED  
23 SENSITIVITY. IT MIGHT BE THAT THE GENETIC ANALYSIS  
24 GOING FORWARD MIGHT ACTUALLY HAVE TO HAVE THAT SORT  
25 OF INFORMATION BUILT IN IF PATIENTS ARE TO RECEIVE

**BARRISTERS' REPORTING SERVICE**

1 CELLS OF ANOTHER GENOTYPE.

2 DR. TROUNSON: I THINK THAT'S TRUE. I  
3 THINK IN THE END THE MOST OPTIMUM CELL MIGHT BE  
4 TESTED FOR MANY DIFFERENT THINGS, AND MAYBE YOU WANT  
5 TO DEEP SEQUENCE. BUT WE'RE NOT ANTICIPATING THAT  
6 THE DEEP SEQUENCING WOULD BE NECESSARY RIGHT NOW  
7 BECAUSE OF THE COST INVOLVED. BUT THE COST OF DEEP  
8 SEQUENCING IS GOING DOWN AND WILL SORT OF FLATTEN TO  
9 AN ASYMPTOTIC VALUE SOMEWHERE, AND THEN YOU CAN MAKE  
10 A CALCULATION ABOUT HOW IMPORTANT THESE THINGS  
11 BECOME THEN. YES, I AGREE.

12 MR. SHEEHY: SO IS THERE A CLINICAL TRIAL  
13 IN PHASE I WITH AN ALLOGENEIC IPS CELL PRODUCT AT  
14 THIS TIME?

15 DR. TROUNSON: ALLOGENIC IPS CELLS, NO.

16 MR. SHEEHY: NO. MAYBE DR. FEIGAL COULD  
17 HELP WITH THIS TOO. ISN'T THE PATHWAY THAT WE WOULD  
18 FIRST MOST LIKELY MOVE CELLS THROUGH AN AUTOLOGOUS  
19 PATHWAY? AND HOW MANY TRIALS ARE THERE ACTUALLY  
20 UNDER WAY WITH AN AUTOLOGOUS PRODUCT?

21 DR. FEIGAL: WITH AN AUTOLOGOUS, THERE ARE  
22 TWO. THERE'S A GROUP IN JAPAN AND THERE'S A GROUP  
23 IN THE UNITED STATES. ONE IS WORKING ON PLATELETS  
24 TO TRY AND PRODUCE THAT. THE OTHER IS WORKING ON  
25 IPS TO TREAT A BLINDING EYE DISEASE. AND THEY'RE

**BARRISTERS' REPORTING SERVICE**

1 ACTUALLY -- THEY'VE GONE THROUGH THE APPROVALS IN  
2 JAPAN. AND JAPAN, AS YOU MAY KNOW, RECENTLY CHANGED  
3 THEIR REGULATORY PATHWAYS SO THAT THEY CAN GET INTO  
4 THE CLINIC MORE QUICKLY. SO RIGHT NOW THERE'S TWO  
5 THAT ARE WORKING ON AN AUTOLOGOUS APPROACH.

6 MR. SHEEHY: I MEAN ONE OF THE BARRIERS TO  
7 EMBRYONIC STEM CELLS AS AN ALLOGENEIC PRODUCT, WE  
8 HAVEN'T REALLY OVERCOME THOSE, WHICH IS WHY IPS  
9 CELLS ARE SO ATTRACTIVE BECAUSE YOU CAN DO THAT  
10 AUTOLOGOUSLY.

11 SO THE QUESTION IS WHY WE NEED TO SPEND  
12 \$10 MILLION SETTING UP A BANK WHEN, IF I'M BEING  
13 PRACTICAL, IT'S GOING TO TAKE AT LEAST A DECADE  
14 BEFORE AN AUTOLOGOUS PRODUCT, IF EVERYTHING GOES  
15 WELL, GETS THROUGH PHASE III AND WE HAVE ENOUGH  
16 CONFIDENCE TO EVEN PUT THESE CELLS INTO A HUMAN  
17 BEING FOR THERAPY, AND THEN WE WOULD START TO TALK  
18 ABOUT AN ALLOGENEIC PRODUCT. IN THAT DECADE THE  
19 TYPES -- HOW YOU WOULD BANK, DERIVE, ANALYZE THOSE  
20 CELLS, GIVEN THE PACE OF SCIENTIFIC DISCOVERY, SEEMS  
21 LIKE IT WOULD BE COMPLETELY DIFFERENT IN A LOT OF  
22 WAYS THAN WHAT WE'RE DOING NOW.

23 I THINK WE WOULD BE THROWING \$10 MILLION  
24 OUT THE DOOR TO BUILD A BANK FOR PRODUCTS WE DON'T  
25 KNOW WHAT THEY'RE GOING TO LOOK LIKE. I WOULD JUST

## BARRISTERS' REPORTING SERVICE

1 FEEL MORE COMFORTABLE COMING BACK TO THIS. AGAIN,  
2 THIS IS EXACTLY ONE OF THE PROBLEMS THAT I'M HAVING  
3 IS THAT WE CONTINUE TO PUSH MONEY OUT THE DOOR FOR  
4 PROJECTS WITHOUT THINKING, AS DR. JUELSGAARD -- I  
5 MEAN AS MR. JUELSGAARD SAID, WHAT'S OUR OBJECTIVE?  
6 AND IF OUR GOAL IS TO GET PRODUCTS INTO PATIENTS,  
7 NONE OF THESE CELLS ARE GOING TO GET INTO A PATIENT  
8 FOR AT LEAST 25 YEARS.

9 YOU HAVE TO GET THE AUTOLOGOUS CELLS  
10 THROUGH. THEN YOU HAVE TO GET A CLINICAL TRIAL WITH  
11 THE ALLOGENEIC PRODUCT.

12 DR. TROUNSON: MAYBE I CAN RESPOND THIS  
13 WAY. WE'VE GOT EXAMPLES WHERE THAT'S REALLY NOT THE  
14 CASE. IN THE CASE OF THE CARDIAC CELLS THAT WE  
15 STARTED OFF THEY'RE AUTOLOGOUS CELLS IN OUR CLINICAL  
16 STUDIES HERE, THEY'VE BEEN CONVERTED TO ALLOGENEIC  
17 CELLS. THEY'VE MOVED DIRECTLY TO THOSE ALLOGENEIC  
18 CELLS BECAUSE THEY FOUND THAT THEY WERE MUCH BETTER  
19 AND MUCH LESS COSTLY AND JUST AS EFFECTIVE. WE DID  
20 THAT WITHIN A VERY SHORT TIME FRAME.

21 I EXPECT THAT THE JAPANESE ARE GOING TO  
22 MOVE VERY QUICKLY FROM BEING PATIENT SPECIFIC TO  
23 ALLOGENEIC. AND I THINK THE REST OF THE SCIENTIFIC  
24 WORLD ACTUALLY BELIEVES THE SAME. THAT'S REALLY THE  
25 BASIS OF WHAT WE WROTE ABOUT. AND WE DON'T EXPECT

**BARRISTERS' REPORTING SERVICE**

1 THIS TO BE 25 YEARS, THAT'S FOR SURE. SO YOU CAN  
2 TAKE THAT POINT OF VIEW, BUT I JUST RESPECTFULLY  
3 DISAGREE.

4 DR. FEIGAL: I JUST WANT TO MAKE -- CAN I  
5 MAKE ONE COMMENT?

6 MR. SHEEHY: CAN I JUST RESPOND? NO. 1,  
7 THE CELLS THAT YOU'RE TALKING ABOUT ARE NOT  
8 PLURIPOTENT CELL PRODUCTS. SO PLURIPOTENT CELL  
9 PRODUCTS HAVE TO MAKE IT THROUGH THE REGULATORY  
10 PATHWAY INITIALLY. THIS ONE THEY GOT GOOD RESULTS,  
11 BUT THESE WERE ADULT CELLS.

12 AND THEN THE SECOND POINT IS THAT IT ONLY  
13 TAKES THREE MONTHS TO MAKE A LINE. SO IF WE PUT  
14 THIS OFF FOR A WHILE, WE CAN STILL MAKE THE LINES.  
15 I'M LUST LEERY ABOUT MAKING THIS BIG INVESTMENT UP  
16 FRONT.

17 DR. FEIGAL: THAT'S FINE. I THINK THE  
18 POINT THAT WAS TRYING TO BE MADE, AND THAT'S  
19 SEPARATE FROM THE POINT ABOUT THE BANK, IS THAT  
20 PEOPLE MAY SIMULTANEOUSLY LOOK ON MULTIPLE  
21 APPROACHES. SO HE DID GIVE THE EXAMPLE OF AN ADULT  
22 CELL LINE THAT STARTED WITH AUTOLOGOUS AND THEN WENT  
23 TO ALLOGENEIC. WE DON'T PERCEIVE THAT PEOPLE ARE  
24 GOING TO WAIT TILL THE END OF A TEN-YEAR PROCESS  
25 BEFORE THEY MIGHT TRY AN APPROACH WITH ALLOGENEIC.

**BARRISTERS' REPORTING SERVICE**

1 SO I THINK PEOPLE DO TRY APPROACHES  
2 SIMULTANEOUSLY WITHOUT WAITING FOR THE FULL PATHWAY.  
3 YOU'RE ABSOLUTELY CORRECT. IPS, WHETHER IT'S  
4 AUTOLOGOUS OR WHETHER IT'S ALLOGENEIC, IF IT'S  
5 MANIPULATED, IT'S GOING TO GO THROUGH A REGULATORY  
6 PATHWAY. SO WE ARE TALKING ABOUT A GMP, A GOOD  
7 MANUFACTURING PROCESS, TO DEVELOP THESE CELL LINES.

8 SO TO DR. BOXER'S POINT, WE WOULD HAVE TO  
9 TEST FOR ADVENTITIOUS AGENTS OR OTHER TYPES OF  
10 INFECTIOUS AGENTS. THAT WOULD BE STANDARD. WE  
11 WOULD HAVE TO DO THAT.

12 MR. SHEEHY: ISN'T THE EXAMPLE OF  
13 CARDICELL, ONE OF THE REASONS THAT THEY WERE ABLE TO  
14 SWITCH IS THOSE CELLS ARE EVANESCENT, IF I REMEMBER  
15 THE WORD. AND WE WOULD NOT RELY ON THE EVANESCENCE  
16 OF A PLURIPOTENT CELL PRODUCT. I DON'T THINK THE  
17 FDA WOULD BE SO CASUAL ABOUT THAT.

18 DR. FEIGAL: JUST TO BE CLEAR, IT REQUIRED  
19 A SEPARATE -- IT DOES REQUIRE A SEPARATE IND TO GO  
20 FROM AUTOLOGOUS TO ALLOGENEIC.

21 CHAIRMAN THOMAS: OKAY. COULD I JUST --  
22 SINCE THIS IS GOING TO BE BROUGHT BACK FOR FURTHER  
23 DISCUSSION IN A FEW MONTHS AND WE HAVE SOME OF THE  
24 TOPICS, ALAN, THAT I THINK WOULD NEED TO BE  
25 ADDRESSED AT THAT TIME, I THINK WE CAN JUST SORT OF



**BARRISTERS' REPORTING SERVICE**

1 TAKE THIS UNDER ADVISEMENT. THANK YOU VERY MUCH FOR  
2 THE PRESENTATION.

3 JEANNE, IF YOU'RE QUICK. WE NEED TO MOVE  
4 ON.

5 DR. LORING: THIS IS JEANNE LORING FROM  
6 SCRIPPS RESEARCH INSTITUTE. I JUST WANT TO ADDRESS  
7 A COUPLE OF THE SCIENTIFIC QUESTIONS BECAUSE WE DO  
8 THIS SORT OF THING. FIRST OF ALL, THE COST OF DOING  
9 A SNP GENOTYPE IS AROUND \$150 NOW FOR UP TO 5  
10 MILLION SITES IN THE GENOME. THE COST OF A FULL  
11 GENOME SEQUENCE IS AROUND \$3,000. SO IF YOU WANT TO  
12 TAKE THOSE INTO YOUR CONSIDERATION, I THINK WE CAN  
13 THINK ABOUT HOW MUCH WORK WE COULD DO ON EACH CELL  
14 LINE.

15 THE SECOND POINT IS THAT WE GOT GRANTS  
16 FROM THE BILL AND LINDA GATES FOUNDATION SEVERAL  
17 YEARS AGO TO CREATE AN ETHICALLY DIVERSE POPULATION  
18 OF STEM CELLS FOR PHARMACOGENETICS AND MAYBE  
19 TRANSPLANTATION. SO THE OUTCOME OF THAT, WE TRIED  
20 MANY TIMES TO GET FUNDING FROM NIH TO SUPPORT THIS  
21 FURTHER AND WERE UNSUCCESSFUL, NOT SURPRISINGLY.  
22 HOWEVER, ONE THING THAT CAME OUT OF THAT EFFORT IS A  
23 LINE THAT WE MADE WHICH HAS THE MOST COMMON EUROPEAN  
24 HAPLOTYPE, AND IT'S BEEN COMPLETELY SEQUENCED. AND  
25 WE ARE DISTRIBUTING IT UNDER AN MGA. SEVERAL OF THE

## BARRISTERS' REPORTING SERVICE

1 LABS IN CALIFORNIA ARE USING IT AS THEIR SORT OF  
2 EUROPEAN NONDISEASE CONTROL. SO THAT'S AVAILABLE TO  
3 ANY RESEARCHER.

4 CHAIRMAN THOMAS: THANK YOU. THAT'S VERY  
5 INTERESTING. WE APPRECIATE THAT. OKAY.

6 SO, ALAN, THANK YOU. I THINK WE HAVE A  
7 SENSE OF SOME OF THE ISSUES THAT WILL BE DISCUSSED  
8 WHEN WE REVISIT THIS DOWN THE ROAD.

9 WE'RE GOING TO MOVE NEXT INTO THE DISEASE  
10 TEAM ITEM. WE NEED TO TAKE A SHORT BREAK HERE  
11 BEFORE THAT. SO LET'S PLAN ON RECONVENING IN ABOUT  
12 FIVE MINUTES OR SO. THANK YOU.

13 (A RECESS WAS TAKEN.)

14 CHAIRMAN THOMAS: OKAY. WE'RE NOW GOING  
15 TO PROCEED TO ITEM 15, CONSIDERATION OF THE  
16 APPLICATIONS FOR THE LATEST ROUND OF THE DUANE ROTH  
17 DISEASE TEAM THERAPY DEVELOPMENT III AWARDS,  
18 OTHERWISE KNOWN AS DT III. BEFORE WE GET GOING, I  
19 JUST WANTED TO MAKE A FEW POINTS.

20 FURTHER TO THE PROCEDURES THAT WE PUT IN  
21 PLACE FOLLOWING THE IOM REPORT A YEAR AGO, WE HAVE A  
22 REVISED SET OF PROTOCOLS THAT WE HAVE BEEN USING  
23 THIS YEAR WITH RESPECT TO AWARDS THAT ARE BROUGHT  
24 BEFORE THIS BOARD. SO THE PROCEDURE THAT IS NOW IN  
25 PLACE, WHICH WE HAVE SUCCESSFULLY USED IN PREVIOUS

## BARRISTERS' REPORTING SERVICE

1 MEETINGS, WE HAVE APPLICANTS APPLY, THEY GO THROUGH  
2 THE SCREENING AND GRANTS WORKING GROUP PROCESS. THE  
3 GRANTS WORKING GROUP IS TASKED WITH PUTTING THE  
4 PROPOSALS IN EACH OF THEIR MEETINGS INTO THREE  
5 TIERS. TIER I IS RECOMMENDED FOR FUNDING, TIER II  
6 IS SUBJECT TO REVIEW BY STAFF, TIER III IS NOT  
7 RECOMMENDED FOR FUNDING.

8 THOSE PROJECTS THAT ARE IN TIER I NEEDN'T  
9 GO THROUGH ANY FURTHER ANALYSIS. THOSE PROJECTS  
10 THAT ARE IN TIER II ARE ROUTINELY NOW REVIEWED BY  
11 STAFF TO SEE IF THEY WOULD RECOMMEND MOVING ANY OF  
12 THOSE PROJECTS UP TO TIER I.; THAT IS TO SAY,  
13 INCLUDED IN THE RECOMMENDED FOR FUNDING CATEGORY.  
14 TIER IIIS ARE NOT ROUTINELY REVIEWED BY STAFF.

15 HOWEVER, THERE IS A WELL THOUGHT OUT  
16 APPELLATE PROCESS BY WHICH APPLICANTS WHO ARE EITHER  
17 IN TIER II AND NOT GOING TO BE RECOMMENDED FOR  
18 FUNDING OR THOSE IN TIER III MAY FILE AN APPEAL ON  
19 THE BASIS OF EITHER DISAGREEMENT IN FACT OR MATERIAL  
20 NEW INFORMATION. THAT APPEAL GOES TO STAFF. STAFF  
21 ANALYZES IT, AND TO THE EXTENT THEY FEEL THAT THE  
22 ISSUES RAISED IN THE APPEAL WARRANT RE-REVIEW, THOSE  
23 PROJECTS WOULD GO TO A SUBSET OF THE GRANTS WORKING  
24 GROUP FOR SUCH RE-REVIEW, AND THE RESULTS OF THAT  
25 WOULD BE INCLUDED IN THE FINAL TABULATION THAT GOES

**BARRISTERS' REPORTING SERVICE**

1 TO THE BOARD.

2 THE REASON FOR THIS APPELLATE PROCEDURE IS  
3 PREVIOUSLY WE HAD HAD THE AVENUE OF EXTRAORDINARY  
4 APPEAL WHICH INVOLVED APPLICANTS COMING DIRECTLY TO  
5 THE BOARD WITHOUT REVIEW BY STAFF TO MAKE A CASE  
6 THAT THEIR PARTICULAR PROJECT SHOULD BE FUNDED. IT  
7 WAS THE CONSIDERED OPINION OF THE BOARD THAT THAT  
8 WAS NOT A PROCEDURE THAT LED TO CAREFUL AND  
9 CONSIDERED THOUGHT ON THE APPEAL ITSELF AND THAT,  
10 INSTEAD OF HAVING THAT EXTRAORDINARY PETITION  
11 AVAILABLE, IT MADE MUCH MORE SENSE TO HAVE THE  
12 APPEALS GO STRAIGHT TO STAFF FOR THEIR EVALUATION.

13 SO HAVING GONE THROUGH ALL THAT, THIS  
14 RESULTS IN A REVISED LIST OF TIERS THAT THEN GOES TO  
15 THE BOARD FOR ITS REVIEW. PREVIOUSLY IN THE GRANTS  
16 WORKING GROUP THERE HAD BEEN TWO ELEMENTS. THERE  
17 WAS THE SCIENTIFIC REVIEW FOLLOWED BY PROGRAMMATIC  
18 REVIEW, WHICH DEALS WITH ISSUES THAT AREN'T STRICTLY  
19 SCIENTIFIC, BUT PERTAIN TO THE ADVISABILITY OF  
20 INCLUDING A PARTICULAR APPLICATION IN THE  
21 RECOMMENDED CATEGORY.

22 AGAIN, AS PART OF THE RESPONSE TO THE IOM,  
23 WE HAVE SHIFTED THE PROGRAMMATIC REVIEW FROM THE  
24 GRANTS WORKING GROUP TO THE BOARD MEETING ITSELF SO  
25 THAT WE HAVE THE BENEFIT OF HAVING THAT DISCUSSION

**BARRISTERS' REPORTING SERVICE**

1 AS A MATTER OF FIRST INSTANCE AT THE BOARD MEETING.

2 THE PATIENT ADVOCATES WHO WERE PREVIOUSLY  
3 RUNNING PROGRAMMATIC REVIEW IN THE GRANTS WORKING  
4 GROUP ARE STILL PARTICIPANTS IN THE PEER REVIEW  
5 ITSELF, ALTHOUGH NOT VOTING PARTICIPANTS ON EACH  
6 INDIVIDUAL ITEM SINCE THAT HAS NOW BEEN SHIFTED TO  
7 THE BOARD, BUT THEY HAVE THE FULL BENEFIT OF HAVING  
8 SAT THROUGH THE GRANTS WORKING GROUP AND ARE  
9 INTIMATELY ACQUAINTED WITH ALL OF THE ISSUES THAT  
10 NEED TO BE DISCUSSED AT THE BOARD LEVEL.

11 SO PROGRAMMATIC REVIEW HAS PROCEEDED AT  
12 THE BOARD. AND AT THE END OF THAT, THE BOARD THEN  
13 VOTES ON THE RECOMMENDED SLATE OF FUNDING THAT  
14 EMERGES FROM THE DISCUSSION FOLLOWING PROGRAMMATIC  
15 REVIEW. SO THAT IS NOW THE WAY WE DO THINGS.

16 I STRONGLY URGE ANY APPLICANTS IN THE ROOM  
17 TO NOT VIEW THE BOARD MEETING ITSELF AS AN INSTANCE  
18 OF FIRST APPEAL BECAUSE, WHILE THE SUBSTANCE OF WHAT  
19 MAY BE SAID COULD WELL HAVE MERIT, THAT IS THE NOT  
20 THE PROCEDURE WE HAVE IN PLACE. IN FACT, WE  
21 SPECIFICALLY DESIGNED IT TO AVOID THAT. SO PLEASE  
22 TAKE THAT UNDER ADVISEMENT.

23 HAVING SAID THAT, THOSE APPLICANTS WHO ARE  
24 HERE WILL HAVE THEIR OPPORTUNITY IN PUBLIC COMMENT  
25 TO STATE WHATEVER THEY WOULD LIKE TO ABOUT THEIR

**BARRISTERS' REPORTING SERVICE**

1 PARTICULAR APPLICATION. MR. HARRISON, DID I LEAVE  
2 ANYTHING OUT?

3 MR. HARRISON: ONE CLARIFICATION AND ONE  
4 THING TO ADD. THE PATIENT ADVOCATES OF THE GRANTS  
5 WORKING GROUP DO PARTICIPATE IN THE VOTE TO  
6 RECOMMEND THE SLATE OF APPLICATIONS TO THE FULL  
7 BOARD. BASED ON THE IOM'S RECOMMENDATIONS, WE HAVE  
8 ALSO ESTABLISHED AN APPLICATION REVIEW SUBCOMMITTEE,  
9 WHICH IS COMPRISED OF ALL THE MEMBERS OF THE BOARD,  
10 BUT THE MEMBERS WHO ARE APPOINTED FROM INSTITUTIONS  
11 THAT RECEIVE CIRM FUNDING ARE NONVOTING MEMBERS.  
12 AND WHAT THAT MEANS IS THAT YOU HAVE THE ABILITY TO  
13 PARTICIPATE IN THE DISCUSSION OF AN APPLICATION,  
14 ASSUMING YOU DON'T OTHERWISE HAVE A CONFLICT OF  
15 INTEREST, BUT YOU MAY NOT VOTE. SO WHEN WE DO A  
16 ROLL CALL VOTE, YOUR NAMES WILL BE NOT BE CALLED.

17 AS ALWAYS, WE HAVE PROVIDED YOU WITH A  
18 LIST OF THE APPLICATIONS IN WHICH YOU HAVE A  
19 CONFLICT. SO BEFORE RAISING YOUR HAND TO OFFER  
20 COMMENT ABOUT A PARTICULAR APPLICATION, PLEASE CHECK  
21 YOUR LIST BEFORE SPEAKING. AND IF YOU FORGET, WE  
22 WILL POLITELY REMIND YOU.

23 CHAIRMAN THOMAS: THANK YOU, MR. HARRISON.  
24 WITH RESPECT TO THE PATIENT ADVOCATES OF THE GRANTS  
25 WORKING GROUP, THANK YOU FOR ADDING THAT. MY

**BARRISTERS' REPORTING SERVICE**

1 COMMENT WAS THAT THEY DON'T VOTE ON INDIVIDUAL;  
2 WHEREAS, BEFORE THEY DID. BUT YOU'RE ENTIRELY  
3 CORRECT. THEY DO VOTE ON MOVING THE SLATE TO THE  
4 BOARD.

5 AND THE LAST ITEM MR. HARRISON DISCUSSED  
6 IS CRITICAL. IT WAS THE PRINCIPAL RESPONSE OR THE  
7 NO. 1 RESPONSE WE HAD TO THE IOM REPORT DEALING WITH  
8 THE ISSUES OF EVEN PERCEIVED CONFLICTS OF INTEREST  
9 ON MATTERS THAT COME BEFORE THE BOARD FOR VOTES ON  
10 AWARDS. SO IT'S A VERY IMPORTANT PART OF THE ENTIRE  
11 PUZZLE.

12 WITH THAT AS A VERY LENGTHY PRELUDE, LET'S  
13 NOW PROCEED TO --

14 MR. SHEEHY: CAN I JUST TALK A LITTLE BIT  
15 DEEPER ABOUT THE PROCESS SO EVERYBODY KNOWS EXACTLY  
16 WHAT'S GOING TO HAPPEN BEFORE WE START INTO THIS.

17 SO, NO. 1, IT WILL BE CONDUCTED MUCH IN  
18 THE SAME MANNER IN WHICH PROGRAMMATIC REVIEW WAS  
19 CONDUCTED AT THE WORKING GROUP, WHICH MEANS NO ITEM  
20 WILL COME UNDER DISCUSSION UNLESS THERE'S BEEN A  
21 MOTION BY AN ELIGIBLE MEMBER TO MOVE THIS IN EITHER  
22 DIRECTION, EITHER OUT OF FUNDING OR INTO FUNDING.

23 WE'LL START WITH TIER I, WE'LL GO TO TIER  
24 II, AND THEN WE'LL GO TO TIER III.

25 COULD MR. HARRISON PERHAPS CLEARLY

**BARRISTERS' REPORTING SERVICE**

1 IDENTIFY THE MEMBERS WHO CAN MAKE MOTIONS?

2 MR. HARRISON: LET ME IDENTIFY THE MEMBERS  
3 OF THE APPLICATION REVIEW SUBCOMMITTEE. REMEMBER  
4 THAT THOSE OF YOU WHO ARE ON THE APPLICATION REVIEW  
5 SUBCOMMITTEE MAY STILL HAVE CONFLICTS OF INTEREST  
6 WITH RESPECT TO A PARTICULAR APPLICATION, SO YOU  
7 STILL NEED TO CONSULT YOUR LIST BEFORE OFFERING A  
8 MOTION OR A COMMENT.

9 THE MEMBERS OF THE APPLICATION REVIEW  
10 SUBCOMMITTEE ARE DULIEGE, FEIT, GOLDBERG,  
11 JUELSGAARD, LANSING, PRIETO, QUINT, ROWLETT,  
12 SAMUELSON, SHEEHY, STEWARD, THOMAS, TORRES, AND  
13 WINOKUR.

14 MR. SHEEHY: AND THE WAY IN WHICH WE'LL  
15 PROCEED IS A MOTION WILL BE MADE, THE BOARD WILL  
16 DISCUSS, AND THEN WE'LL TAKE PUBLIC COMMENT. IF  
17 THERE'S NO MOTION, ANY PUBLIC COMMENT ON ONE OF  
18 THOSE ITEMS WILL TAKE PLACE AFTER THE MOTION TO MAKE  
19 THE OMNIBUS APPROVAL OF THE GRANT APPLICATIONS. I  
20 WILL BE CHAIRING IT, AND THEN ON THOSE APPLICATIONS  
21 WHERE I HAVE CONFLICT, I'LL PASS TO DR. STEWARD.  
22 AND I THINK THAT'S PRETTY MUCH IT.

23 OH, ONE OTHER POINT. I WILL TAKE THE  
24 STAFF RECOMMENDATIONS AS MOTIONS FROM THE CHAIR. I  
25 THINK THAT'S APPROPRIATE. THE STAFF HAS MADE



## BARRISTERS' REPORTING SERVICE

1 RECOMMENDATIONS ON TIER II, AND THOSE SHOULD BE  
2 DISCUSSED BY THE BOARD AS A MATTER OF COURSE.

3 CHAIRMAN THOMAS: THANK YOU. OKAY. I  
4 THINK THE GROUND RULES ARE SET. I WILL NOTE THAT WE  
5 HAD A SET OF EARLY TRANSLATION AWARDS WITH THIS  
6 PROTOCOL, WORKED SWIMMINGLY, AND WE EXPECT IT WILL  
7 TODAY AS WELL.

8 OKAY. ON TO THE PRESENTATION ITSELF. WE  
9 HAVE KEVIN WHITTLESEA. DR. WHITTLESEA, PLEASE  
10 PROCEED.

11 DR. WHITTLESEA: THANK YOU, MR. CHAIRMAN.  
12 MEMBERS OF THE BOARD, LADIES AND GENTLEMEN, FOR  
13 THOSE OF YOU WHO I HAVE NOT HAD AN OPPORTUNITY TO  
14 MEET INDIVIDUALLY, I'M KEVIN WHITTLESEA, THE SCIENCE  
15 OFFICER LEADING THIS RFA, WHICH IS RFA 13-01, THE  
16 DUANE ROTH DISEASE TEAM THERAPY DEVELOPMENT AWARDS  
17 III.

18 I'M GOING TO TAKE JUST A FEW MINUTES, WALK  
19 YOU THROUGH THE GOALS AND OBJECTIVES OF THE RFA, THE  
20 PRIORITY AREAS OF THE RFA, SOME KEY ASPECTS OF THIS  
21 PARTICULAR CALL FOR APPLICATIONS, THE GRANTS WORKING  
22 GROUP COMPOSITION, AND THE REVIEW CRITERIA BY WHICH  
23 THE GRANTS WORKING GROUP CONSIDERED THE APPLICATIONS  
24 RECEIVED UNDER THIS CALL FOR APPLICATIONS. AND THEN  
25 I'M GOING TO TURN IT OVER TO DR. BETTINA STEFFEN,

**BARRISTERS' REPORTING SERVICE**

1 WHO WILL PROVIDE INFORMATION ABOUT THE STAFF  
2 RECOMMENDATIONS WHICH WERE JUST REFERRED TO.

3 IN TERMS OF THE GOALS AND OBJECTIVES OF  
4 THIS RFA, AS YOU ALL ARE VERY FAMILIAR, THE DISEASE  
5 TEAM PROGRAM OVERALL HAS THE OBJECTIVE OF ADVANCING  
6 PRECLINICAL AND CLINICAL DEVELOPMENT OF NOVEL  
7 THERAPIES. NOW, THIS PARTICULAR CALL FOR  
8 APPLICATIONS, THE THIRD CALL WITHIN THE DISEASE  
9 TEAMS PROGRAM, AS I MENTIONED, IS THE DUANE ROTH  
10 DISEASE TEAM THERAPY DEVELOPMENT III AWARDS. THIS  
11 THIRD CALL HAD TWO KEY OBJECTIVES. THE FIRST, TO  
12 COMPLETE AN EARLY PHASE CLINICAL TRIAL WITHIN THE  
13 AWARD PERIOD. AND THOSE PROPOSED TRIALS WILL  
14 EVALUATE PRELIMINARY SAFETY AND ASSESS PRELIMINARY  
15 BIOLOGICAL EFFICACY AND BIOLOGICAL ACTIVITY IN  
16 HUMANS.

17 THOSE PROJECTS -- AND WE DID ALLOW UP TO  
18 12 TO 18 MONTHS OF PRECLINICAL IND-ENABLING  
19 RESEARCH. THE IDEA IS THAT WE WEREN'T INTERESTED IN  
20 FUNDING PARTIAL CLINICAL TRIALS. WE WANTED TO  
21 ENSURE THAT WITHIN THE AWARD PERIOD THAT THE  
22 CLINICAL TRIAL PROPOSED COULD ACTUALLY BE COMPLETED.  
23 SO THAT 12- TO 18-MONTH WINDOW WAS TO ENSURE THEY  
24 WERE CLOSE ENOUGH TO THEIR IND FILING TO STILL HAVE  
25 SUFFICIENT TIME TO COMPLETE THAT TRIAL WITHIN THE

**BARRISTERS' REPORTING SERVICE**

1 AWARD PERIOD.

2 SECONDLY, ONLY AVAILABLE FOR CIRM EARLY  
3 TRANSLATIONAL AWARDEES. WE HAD A PATHWAY WHEREBY  
4 THOSE APPLICANTS WHO WERE SUCCESSFUL IN THEIR ET  
5 AWARDS, COMPLETED THEIR MILESTONES, COULD THEN HAVE  
6 THE OPPORTUNITY TO FILE TO THIS CALL FOR  
7 APPLICATIONS WITH A DIFFERENT OBJECTIVE. RATHER  
8 THAN COMPLETING A CLINICAL TRIAL, IT WAS TO COMPLETE  
9 A WELL-SUPPORTED IND FILING WITHIN THE AWARD PERIOD.

10 SO TWO VERY DIFFERENT OBJECTIVES. I'M  
11 GOING TO SAY MORE ABOUT THAT EARLY TRANSLATIONAL  
12 AWARD PATHWAY LATER ON, BUT JUST WANTED TO MAKE  
13 CLEAR THAT THERE ARE TWO BUCKETS OF APPLICATIONS  
14 WITHIN THIS PARTICULAR CALL.

15 THE RFA PRIORITIES, WE IDENTIFIED THREE  
16 KEY PRIORITIES FOR THIS CALL FOR APPLICATIONS. THE  
17 FIRST IS PROPOSALS THAT INCLUDE A PHASE I OR PHASE  
18 II CLINICAL STUDY THAT COULD DEMONSTRATE CLINICAL  
19 PROOF OF CONCEPT IF SUCCESSFUL. THAT'S OBVIOUSLY A  
20 STRATEGIC PRIORITY RIGHT NOW, SO THAT WAS IDENTIFIED  
21 AS A PRIORITY WITHIN THIS RFA AS WELL.

22 SECOND IS PROPOSALS AIMED AT FURTHERING  
23 THE DEVELOPMENT OF SUCCESSFULLY COMPLETED  
24 CIRM-FUNDED PROJECTS. PROGRAMS THAT WE HAVE ALREADY  
25 INVESTED IN THAT ARE PERFORMING WELL, WANTED TO

## BARRISTERS' REPORTING SERVICE

1 ENSURE THEY HAVE THE OPPORTUNITY FOR CONTINUED  
2 FOLLOW-ON FUNDING TO ADVANCE THOSE PROJECTS INTO THE  
3 CLINIC.

4 LASTLY, PROPOSALS THAT CANNOT OR ARE  
5 UNLIKELY TO RECEIVE TIMELY OR SUFFICIENT FEDERAL  
6 FUNDING. THIS OBVIOUSLY IS SOMETHING THAT'S VERY  
7 MUCH IN THE SPIRIT OF PROPOSITION 71 AND WANTED TO  
8 ENSURE THAT THAT WAS CLEARLY REFLECTED WITHIN THIS  
9 RFA AS WELL.

10 WANTED TO SHOW YOU WHERE EXACTLY THIS RFA  
11 FALLS WITHIN THE CONTEXT OF OTHER EXISTING  
12 CIRM-FUNDED PROGRAMS WITHIN THE PORTFOLIO. THE  
13 DISEASE TEAM THERAPY III PROGRAM IS DESIGNED TO  
14 CAPTURE MATURE PROGRAMS AT OR CLOSE TO EARLY  
15 CLINICAL DEVELOPMENT STAGE. YOU WILL SEE THE  
16 CHEVRON DIAGRAM HERE WHICH YOU'RE VERY FAMILIAR  
17 WITH. YOU WILL SEE THIS CALL FOR APPLICATIONS IN  
18 THE BOTTOM RIGHT-HAND CORNER COLORED. THAT IS THIS  
19 PARTICULAR CALL FOR APPLICATIONS. YOU WILL SEE, AS  
20 I MENTIONED, IT DOES INCLUDE SOME PRECLINICAL  
21 ACTIVITIES LEADING UP TO AN IND FILING, BUT THE  
22 EMPHASIS THERE IS IN PHASE I AND PHASE II CLINICAL  
23 STUDIES AND THE COMPLETION OF A PROPOSED STUDY.

24 YOU WILL NOTE IN THE BOTTOM LEFT-HAND  
25 CORNER OF THE SLIDE A BOX WHICH STATES, NOTE THAT

**BARRISTERS' REPORTING SERVICE**

1 EARLY TRANSLATIONAL ALLOWANCE PATHWAY APPLICANTS FIT  
2 ROUGHLY HERE, POINTING TO THE DISEASE TEAM I  
3 APPLICATION PROGRAM. WHAT THAT IS INTENDED TO  
4 REFLECT IS THOSE APPLICATIONS COMING THROUGH THE  
5 EARLY TRANSLATIONAL PROGRAM, WHICH I'VE ALLUDED TO,  
6 IN TERMS OF THEIR STAGE OF READINESS LOOK A LOT MORE  
7 LIKE THE DISEASE TEAM I PROGRAM, WHICH MANY OF YOU  
8 ARE VERY FAMILIAR WITH, THAT WITHIN THE AWARD  
9 PERIOD, THEIR OBJECTIVE IS AN IND FILING. SO THAT'S  
10 WHAT'S INTENDED TO BE CAPTURED THERE. IN TERMS OF  
11 THINKING ABOUT WHAT THOSE PROJECTS LOOK LIKE IN  
12 TERMS OF READINESS, THAT'S REALLY WHERE THEY FALL,  
13 BUT ARE INCLUDED WITHIN THIS RFA AS WELL.

14 IN TERMS OF THINKING ABOUT FOLLOW-ON  
15 FUNDING OPPORTUNITIES FOR EXISTING CIRM PROGRAMS,  
16 THESE ARE THE TWO PROGRAMS THAT REALLY WERE ELIGIBLE  
17 TO APPLY FOR THIS. THE EARLY TRANSLATIONAL AWARDS,  
18 WHICH I'VE ALREADY MENTIONED, ADDITIONALLY, THE  
19 DISEASE TEAM I PROGRAMS. THOSE PROGRAMS WERE FUNDED  
20 FOR FOUR YEARS WITH THE OBJECTIVE OF AN IND FILING.  
21 THOSE PROGRAMS THAT EITHER ALREADY HAVE OR ARE ON  
22 TRACK TO COMPLETE THAT IND FILING WITH TIMING SUCH  
23 THAT THEY WERE ABLE TO APPLY FOR THIS CALL FOR  
24 APPLICATIONS COULD THEN APPLY TO THIS PROGRAM TO  
25 REQUEST -- TO SEEK FUNDING FOR THEIR PROPOSED

## BARRISTERS' REPORTING SERVICE

1 CLINICAL TRIAL. SO THOSE ARE TWO DIFFERENT  
2 CIRM-FUNDED PROGRAMS. ACTIVE AWARDS OF THOSE  
3 PROGRAMS ARE MEETING THEIR MILESTONES, PERFORMING  
4 WELL, SUCCESSFUL PROGRAMS, HAVE THE OPPORTUNITY THEN  
5 APPLY TO THIS CALL FOR FOLLOW-ON FUNDING. SO JUST  
6 WANTED TO MAKE THAT VERY CLEAR.

7 IN TERMS OF ELIGIBILITY CRITERIA WITHIN  
8 THIS CALL FOR APPLICATIONS, A FEW KEY AREAS. WE DID  
9 HAVE SPECIFIC ELIGIBILITY CRITERIA. MUST HAVE AN  
10 ELIGIBLE THERAPEUTIC CANDIDATE. INSTITUTIONAL  
11 ELIGIBILITY, WE DID, AS PER MANY OF OUR PREVIOUS  
12 CALLS FOR APPLICATION, A LAB WITH NONPROFIT AND  
13 FOR-PROFIT INSTITUTIONS TO APPLY.

14 THE PRINCIPAL INVESTIGATOR OR PI MUST  
15 COMMIT 30-PERCENT EFFORT TO THE PROGRAM. SO A  
16 SUBSTANTIAL AMOUNT OF EFFORT REFLECTIVE OF THE  
17 AGGRESSIVE TIMELINES WE'RE PROPOSING AND KEY  
18 DELIVERABLES.

19 A PROJECT MANAGER DEDICATED TO THE PROJECT  
20 WITH NO LESS THAN 50-PERCENT EFFORT TO ENSURE THAT  
21 THE MILESTONES ARE MET AND MANAGING THESE LARGE  
22 COLLABORATIVE TEAMS.

23 ADDITIONALLY, WE HAVE THE OPPORTUNITY, NOT  
24 A REQUIREMENT, BUT THE OPPORTUNITY FOR ONE  
25 CO-PRINCIPAL INVESTIGATOR.

**BARRISTERS' REPORTING SERVICE**

1           AND, FINALLY, A PARTNER PI. THIS RFA IS  
2 PARTICIPATING IN THE COLLABORATIVE FUNDING  
3 PARTNERSHIPS PROGRAM THAT YOU ALL ARE VERY FAMILIAR  
4 WITH. THE PARTICULAR AGENCIES THAT WILL PARTICIPATE  
5 IN THIS CALL FOR APPLICATIONS, THE UK, CHINA,  
6 ANDALUCIA, AND THE NIH.

7           I WANTED TO SAY A FEW WORDS ABOUT GRANTS  
8 WORKING GROUP EXPERTISE REFLECTIVE OF THE LATER  
9 STAGE OF THE PROJECTS COMING INTO THIS CALL FOR  
10 APPLICATIONS, THAT NECESSITATED EXPERTISE THAT WE'VE  
11 NOT INCLUDED IN OUR GRANTS WORKING GROUP BEFORE, BUT  
12 WERE APPROPRIATE BASED ON THE TYPES OF APPLICATIONS  
13 WE WERE SOLICITING WITH THIS RFA.

14           FIRST, DISEASE AREAS, IMPORTANT TO HAVE  
15 EXPERTISE IN THE DISEASES CAPTURED WITHIN THE POOL  
16 OF APPLICATIONS RECEIVED. PRODUCT DEVELOPMENT,  
17 REGULATORY PROCESS, PRECLINICAL STUDY DESIGN,  
18 INDIVIDUALS TO LOOK AT THE PHARMACOLOGY AND  
19 TOXICOLOGY OF THE DEVELOPMENT CANDIDATE PROPOSED.  
20 AND THEN IT'S THESE LAST TWO THAT I WAS REFERRING  
21 TO: CLINICAL TRIAL OPERATIONS AND BIostatISTICS.  
22 WE HAD NOT PREVIOUSLY INCLUDED THOSE IN OUR GRANTS  
23 WORKING GROUP. THOSE INDIVIDUALS WERE LOOKING AT  
24 THE PROPOSED CLINICAL STUDIES, AND THAT WAS REALLY A  
25 FOCAL POINT THAT WAS IMPORTANT EXPERTISE TO HAVE AT

## BARRISTERS' REPORTING SERVICE

1 THE TABLE BASED ON THE OBJECTIVES OF THESE  
2 PARTICULAR APPLICATIONS.

3 REVIEW CRITERIA USED BY THE GRANTS WORKING  
4 GROUP. THESE GENERAL BUCKETS WILL BE VERY FAMILIAR  
5 BASED ON PREVIOUS CALLS FOR APPLICATION THAT YOU ALL  
6 ARE VERY FAMILIAR WITH. FIRST, SIGNIFICANCE AND  
7 IMPACT. THREE KEY AREAS IDENTIFIED WITHIN  
8 SIGNIFICANCE AND IMPACT BEING EVALUATED. THE FIRST  
9 IS THE TARGET PRODUCT PROFILE. THIS IS THE  
10 ASPIRATIONAL GOAL OF THE TEAM, WHAT THEY WANT THEIR  
11 PRODUCT TO LOOK LIKE. THAT WAS AN IMPORTANT  
12 CONSIDERATION. IS IT RATIONAL AND IS IT ACHIEVABLE?

13 SECOND IS THE CLINICAL COMPETITIVENESS AND  
14 IMPACT. WHAT DOES THE COMPETITIVE LANDSCAPE LOOK  
15 LIKE FOR THE DISEASE AREA AND THE THERAPEUTIC  
16 APPROACH THEY'RE GOING AFTER? AND WHAT IS THE  
17 POTENTIAL IMPACT FOR THEIR THERAPY APPROACH TO HAVE  
18 TO THEIR TARGET PATIENT POPULATION.

19 RESPONSIVENESS TO THE RFA, PRIORITIES,  
20 ETC., HOW RESPONSIVE WAS THEIR THERAPEUTIC APPROACH  
21 TO THE PARTICULAR CALL FOR APPLICATIONS.

22 SECOND KEY IS SCIENTIFIC RATIONALE AND  
23 RISK BENEFIT. WITHIN THAT, WHAT IS THE PRECLINICAL  
24 AND/OR CLINICAL DATA THAT WAS PROVIDED WITH THEIR  
25 PACKAGE? HOW COMPELLING IS IT? AND WHAT DOES THE



**BARRISTERS' REPORTING SERVICE**

1 RISK BENEFIT PROFILE LOOK LIKE IN TERMS OF THE  
2 PATIENT POPULATION TARGETED WITH THE PROPOSED  
3 THERAPEUTIC?

4 THIRD, THERAPEUTIC DEVELOPMENT READINESS.  
5 HOW FAR ALONG ARE THEY? THERE WERE A NUMBER OF KEY  
6 MILESTONES THAT WE WERE LOOKING AT, WHICH I'LL SAY A  
7 FEW WORDS ABOUT IN ONE OF MY LATER SLIDES.

8 DESIGN AND FEASIBILITY. THERE WERE THREE  
9 KEY AREAS LOOKED AT WITHIN DESIGN AND FEASIBILITY OF  
10 THE PROPOSED STUDY. FIRST WAS DEVELOPMENT PLAN TO  
11 END OF PHASE II. MOST OF THE APPLICATIONS RECEIVED  
12 WERE LOOKING AT PHASE I STUDIES. HOWEVER, WE WANT  
13 TO ENSURE THAT THE INVESTIGATORS HAVE PLANS BEYOND  
14 JUST COMPLETING A PHASE I STUDY. HAVE THEY THOUGHT  
15 ABOUT WHERE THEY'RE GOING AND WHAT THE PHASE II  
16 STUDY MIGHT ACTUALLY LOOK LIKE TO ENSURE THAT THERE  
17 IS A PLAN.

18 DEVELOPMENT PLAN TO END OF PHASE II. THE  
19 SECOND WAS THE PROJECT PLAN. AND THE THIRD IS OTHER  
20 KEY PROJECT COMPONENTS. WITHIN THAT, GRANTS WORKING  
21 GROUP WAS LOOKING AT THE PRECLINICAL PLAN, THE  
22 REGULATORY PATHWAY, THE MANUFACTURING PLAN, AND THE  
23 PROPOSED CLINICAL STUDY. FIFTH IS THE PI AND  
24 DEVELOPMENT TEAM. WITHIN THAT, THE EXPERTISE AND  
25 TRACK RECORD OF THE PRINCIPAL INVESTIGATOR, THE

## BARRISTERS' REPORTING SERVICE

1 DEVELOPMENT TEAM, AND THE PROPOSED LEADERSHIP PLAN,  
2 AND THE CLINICAL INVESTIGATOR AND THE PROPOSED  
3 CLINICAL SITES.

4 SIXTH WAS THE BUDGET. THIS WAS LOOKED AT  
5 INSOFAR AS THE BUDGET MIGHT IMPACT FEASIBILITY OF  
6 THE PROPOSED STUDIES.

7 SEVENTH WAS COLLABORATIONS, ASSETS,  
8 RESOURCES, AND ENVIRONMENT. WITHIN THAT, LOOKING AT  
9 COLLABORATIONS. IS THE RIGHT EXPERTISE AT THE  
10 TABLE?

11 NEXT IS LEVERAGED FUNDING FOR CLINICAL  
12 TRIALS, IF APPLICABLE. I'M GOING TO SAY A FEW WORDS  
13 ABOUT THAT IN ONE OF MY LATER SLIDES.

14 AND THEN CONTRACT SERVICES. CONTRACT  
15 MANUFACTURING ORGANIZATIONS, CONTRACT RESEARCH  
16 ORGANIZATIONS, CONSULTANTS. AGAIN, IS THE RIGHT  
17 EXPERTISE ON THE TEAM?

18 AND THEN LASTLY, RESOURCES AND  
19 ENVIRONMENT.

20 AND THEN THE EIGHTH CATEGORY IS  
21 INTELLECTUAL PROPERTY AND LICENSES. THIS WAS LOOKED  
22 AT FROM A HIGH LEVEL IN TERMS OF WERE THERE ANY  
23 IDENTIFIED KEY SHOW STOPPERS, RED FLAGS, PROBLEMS  
24 THAT MIGHT COME UP THAT WOULD PREVENT THIS  
25 THERAPEUTIC APPROACH FROM MOVING FORWARD. AGAIN,

**BARRISTERS' REPORTING SERVICE**

1 CONSISTENT WITH SOME OF THE POINTS THAT I'VE ALREADY  
2 MADE. WE'RE NOT LOOKING AT NEAR TERM, JUST  
3 COMPLETING A PHASE I STUDY, BUT IS THERE A PLAN AND  
4 A PATHWAY TO MOVE THESE PRODUCTS BEYOND PHASE I  
5 TOWARDS COMMERCIALIZATION.

6 READINESS FOR CLINICAL TRIAL PROJECTS.  
7 THIS IS ONE THAT WE LOOKED AT VERY CLOSELY AND HAD  
8 KEY MILESTONES TO ENSURE THAT WE HAVE GOOD,  
9 INDEPENDENT WAYS OF ASSESSING WHERE THEY ACTUALLY  
10 ARE AND ARE THEY ACTUALLY READY TO MOVE INTO THE  
11 CLINIC. FOR EXAMPLE, ANY APPLICANT PROPOSING A  
12 PHASE II STUDY REQUIRED THE PROVISION OF PHASE I  
13 SAFETY DATA BY THE FULL APPLICATION SUBMISSION DATE.  
14 THAT'S TO ENSURE THAT WE ACTUALLY HAVE DATA IN HAND  
15 FOR THE GRANTS WORKING GROUP TO BE ABLE TO REVIEW  
16 AND LOOK AT SIGNALS OF SAFETY, ANY POSSIBLE  
17 PROBLEMS, AND WAS THAT ACCESSIBLE FOR THE PROPOSAL  
18 OF A PHASE II STUDY.

19 SIMILARLY, PROPOSING A PHASE I STUDY  
20 REQUIRED AN IND FILING BY THE LETTER OF INTENT  
21 SUBMISSION DATE. THAT GAVE US THE OPPORTUNITY TO  
22 SEEK COMMENTS FROM THE FDA, SEE IF THERE WERE ANY  
23 CLINICAL HOLD ISSUES, AND, AGAIN, MAKE SURE THAT  
24 THESE WERE PROJECTS THAT WERE READY TO GO, READY TO  
25 MOVE INTO THE CLINIC.

**BARRISTERS' REPORTING SERVICE**

1           AND LASTLY, I DID MENTION THAT WE DID  
2           ALLOW UP TO 12 TO 18 MONTHS OF PRECLINICAL  
3           IND-ENABLING RESEARCH FOR PROJECTS COMING PROPOSING  
4           A PHASE I STUDY. THOSE APPLICANTS WE REQUIRED THE  
5           COMPLETION OF A PRE-IND MEETING WITH THE FDA BY NOT  
6           LATER THAN THE FULL APPLICATION SUBMISSION DATE.  
7           AGAIN, THAT PROVIDED US WITH THE OPPORTUNITY TO  
8           RECEIVE THOSE FDA MEETING MINUTES AND THAT  
9           CORRESPONDENCE, WHICH WE DID REQUIRE THEM TO PROVIDE  
10          TO CIRM, GAVE US THE OPPORTUNITY TO REVIEW THOSE  
11          COMMENTS, SEE IF THE FDA IDENTIFIED ANY KEY ISSUES,  
12          RED FLAGS, ADDITIONAL STUDIES THAT MIGHT BE REQUIRED  
13          WHICH COULD POTENTIALLY AFFECT THE TIMELINE AND  
14          FEASIBILITY OF THE PROJECT. AND THOSE PROJECTS,  
15          ADDITIONALLY, HAD TO DEMONSTRATE CLEAR EVIDENCE THAT  
16          A SINGLE THERAPEUTIC DEVELOPMENT CANDIDATE HAD BEEN  
17          SELECTED AND HAD TO SHOW CLEAR EVIDENCE THAT THEY'VE  
18          DEMONSTRATED PRECLINICAL PROOF OF CONCEPT IN THEIR  
19          TARGET DISEASE AND/OR INJURY IN A RELEVANT RESEARCH  
20          MODEL WITH THE ACTUAL PROPOSED THERAPEUTIC  
21          CANDIDATES.

22                   THESE WERE CLEAR THINGS THAT WE COULD  
23                   MONITOR, THEY HAD PROVIDE DEMONSTRATION OF, AND WE  
24                   KNEW THAT THEY WERE ACTUALLY ON TRACK WHERE THEY  
25                   SAID THEY WERE AND READY TO MOVE INTO THE CLINIC TO

## BARRISTERS' REPORTING SERVICE

1 COMPLETE THOSE PROPOSED TRIALS WITHIN THE AWARD  
2 PERIOD.

3 I WANTED TO SAY NOW A FEW WORDS ABOUT THE  
4 EARLY TRANSLATIONAL ALLOWANCE PATHWAY. I'VE  
5 MENTIONED THAT THIS WAS AN OPPORTUNITY AVAILABLE  
6 ONLY TO EXISTING CIRM EARLY TRANSLATIONAL AWARDEES.  
7 THE DEVELOPMENT OF THIS PATHWAY WAS TO ENSURE THAT  
8 THERE WAS AN OPPORTUNITY FOR SUCCESS FOR CONTINUED  
9 CIRM-FUNDED PROJECTS. SO EARLY TRANSLATION AWARDEES  
10 WITH A CURRENT PROJECT COULD APPLY WITH THE GOAL OF  
11 AN IND FILING WITHIN FOUR YEARS. I MENTIONED THIS  
12 IS ONLY AVAILABLE TO ET AWARDEES, AND THEY HAD TO  
13 EITHER HAVE ALREADY COMPLETED OR BE ON TRACK TO  
14 COMPLETE THEIR MILESTONES WITHIN THE APPLICATION  
15 CYCLE PERIOD. AND THEIR GOAL WAS THEN ONLY TO  
16 ACHIEVE AN IND FILING WITHIN THE AWARD PERIOD.

17 AND THERAPEUTIC APPROACH DEVELOPED UNDER  
18 AN ET AWARD WAS ELIGIBLE. HOWEVER, ONE EXCEPTION  
19 THAT WE MADE WAS ANY SMALL MOLECULE OR BIOLOGIC  
20 PROPOSED, IT HAD TO BE THE SAME DEVELOPMENT  
21 CANDIDATE DEVELOPED UNDER THE ET AWARD, BUT ANY  
22 SMALL MOLECULE OR BIOLOGIC HAD TO BE TARGETING AN  
23 ENDOGENOUS STEM CELL AND THE PRIMARY MECHANISM OF  
24 ACTION FOR REGENERATION AND REPAIR.

25 THE THOUGHT PROCESS THERE IS WE HAVE A

**BARRISTERS' REPORTING SERVICE**

1 NUMBER OF PROGRAMS WITHIN THE EARLY TRANSLATIONAL  
2 PORTFOLIO THAT ARE USING STEM CELLS AS A DRUG  
3 SCREENING TOOL. AND IN THE CASE THAT THERE'S A HIT  
4 THAT COMES OUT OF THAT, THAT DRUG MAY NO LONGER BE  
5 TARGETING THE STEM CELL PATHWAY. SO IF THE STEM  
6 CELL COMPONENT OF THE PROJECT IS DONE, WE DID NOT  
7 WANT TO CAPTURE THOSE PROJECTS WITHIN THIS  
8 PARTICULAR CALL FOR APPLICATIONS. SO REALLY  
9 TARGETING STEM CELL PATHWAYS.

10 SO THAT WAS WHAT WE WERE LOOKING FOR  
11 WITHIN THE EARLY TRANSLATIONAL PATHWAYS. NOW, WE  
12 DID HAVE WITHIN THE RFA A LIST OF REQUIREMENTS THAT  
13 MUST HAVE BEEN MET BY THE APPLICANT. ESSENTIALLY,  
14 FOR EXAMPLE, HAVE THEY SELECTED A SINGLE THERAPEUTIC  
15 CANDIDATE? HAVE THEY SHOWN CLEAR PROOF OF CONCEPT?  
16 AND THE IDEA IS THAT THOSE CRITERIA WERE DESIGNED TO  
17 ENSURE THAT ANY ET APPLICANT COMING THROUGH THIS  
18 PATHWAY HAD THE ABILITY TO SUCCEED. THEY WERE FAR  
19 ENOUGH ALONG IN THEIR PROJECT. THEY WERE READY FOR  
20 A DEVELOPMENT PROGRAM. WE DIDN'T WANT TO FULLY  
21 SUPPORT THE ET PROGRAMS; HOWEVER, COMING INTO THIS  
22 APP, WE WANTED TO MAKE SURE THEY WERE READY TO GO.

23 LASTLY, BUDGET AND MECHANISM. THESE  
24 AWARDS ARE BETWEEN FIVE AND \$20 MILLION OVER FOUR  
25 YEARS OR LESS. THIS BOARD APPROVED UP TO \$100

**BARRISTERS' REPORTING SERVICE**

1 MILLION COMMITTED FOR UP TO FIVE AWARDS. AWARDS CAN  
2 BE EITHER TO NONPROFIT INSTITUTIONS IN THE FORM OF  
3 GRANTS. FOR-PROFIT INSTITUTIONS APPLYING COULD  
4 CHOOSE A GRANT OR A LOAN.

5 I WANTED TO SAY A FEW WORDS ABOUT  
6 LEVERAGED FUNDING THAT WAS INCLUDED WITHIN THIS RFA.  
7 WE DID INCLUDE A PROVISION SUCH THAT ANY APPLICANT  
8 PROPOSING A CLINICAL TRIAL USING A SMALL MOLECULE OR  
9 BIOLOGIC HAD TO PROVIDE LEVERAGED FUNDING EQUIVALENT  
10 TO 25 PERCENT OF THE COST OF THE PROPOSED CLINICAL  
11 TRIAL. THE IDEA THERE IS THAT FOR SMALL MOLECULES  
12 OR BIOLOGICS, THERE ARE LOTS OF OPPORTUNITIES FOR  
13 OTHER FUNDING STREAMS. AND WE THOUGHT IT WAS  
14 IMPORTANT FOR THOSE COMING IN TO SHARE SOME SKIN IN  
15 THE GAME AND TO SAY THEY THOUGHT ABOUT THIS AND  
16 LOOKING AT ADDITIONAL POSSIBLE FUNDING OPPORTUNITIES  
17 BEYOND THE SCOPE OF JUST CIRM FUNDING. CELL  
18 THERAPIES WERE NOT SUBJECT TO THAT SINCE THAT'S AN  
19 AREA WHERE IT'S NEW, THERE ARE A LOT OF  
20 MANUFACTURING CHALLENGES, THEY'RE MORE COSTLY. THEY  
21 WERE NOT SUBJECT TO THE MATCHING REQUIREMENT.  
22 LEVERAGED FUNDING WAS EXPECTED FOR ANYONE PROPOSING  
23 A CLINICAL TRIAL WITH A SMALL MOLECULE OR A  
24 BIOLOGIC.

25 SO MOVING, THEN, TO REMIND YOU OF THE

## BARRISTERS' REPORTING SERVICE

1 TIERS, WHICH J.T. MENTIONED IN HIS INTRODUCTION,  
2 THESE ARE THE THREE TIERS, AS A REMINDER, THAT WERE  
3 APPROVED BY THIS BOARD AND HAVE ALREADY BEEN IN USE.  
4 TIER I IS DEFINED AS ANY APPLICATION SCORING BETWEEN  
5 75 AND 100 AND IS DEFINED AS RECOMMENDED FOR  
6 FUNDING. TIER II ARE THOSE APPLICATIONS SCORING  
7 BETWEEN A 65 AND A 74. THOSE WOULD BE THEN SUITABLE  
8 FOR PROGRAMMATIC CONSIDERATION, AS MR. SHEEHY  
9 MENTIONED IN HIS COMMENTS. AND TIER III IS DEFINED  
10 AS THOSE SCORING UP TO A 64, AND THOSE ARE NOT  
11 RECOMMENDED FOR FUNDING.

12 THIS TABLE, I DON'T EXPECT YOU TO BE ABLE  
13 TO READ THIS, BUT YOU HAVE A COPY OF THIS IN YOUR  
14 BINDER. ESSENTIALLY YOU'RE SEEING HERE THE TIERS AS  
15 DEFINED BY THE PREVIOUS SLIDE. THERE ARE FOUR  
16 APPLICATIONS WHICH HAVE FALLEN IN TIER I WITH A  
17 COMBINED BUDGET OF 42.7 MILLION. THERE ARE FOUR  
18 APPLICATIONS IN TIER II WITH A COMBINED BUDGET OF  
19 47.8 MILLION. AND FIVE APPLICATIONS THEN FALL IN  
20 TIER III.

21 SO IF THERE ARE ANY QUESTIONS ABOUT THE  
22 RFA IN GENERAL, I'D BE HAPPY TO TAKE THOSE.  
23 OTHERWISE I'M GOING TO THEN TURN IT OVER TO DR.  
24 BETTINA STEFFEN, WHO WILL WALK YOU THROUGH THE STAFF  
25 RECOMMENDATIONS.



## BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: THANK YOU, KEVIN, FOR  
2 THAT VERY THOROUGH INTRODUCTORY SET OF REMARKS. ANY  
3 QUESTIONS, COMMENTS, MEMBERS OF THE BOARD?

4 DR. STEWARD: IT MIGHT BE WORTH POINTING  
5 OUT NOW ONE ASPECT OF THIS NEW PROCESS THAT YOU MAY  
6 RECALL FROM LAST TIME, BUT PERHAPS NOT. THESE  
7 CUTOFFS ARE DETERMINED ENTIRELY BY THE SCORES.  
8 THERE WAS NO PROCEDURE AT THE END WHERE THE GRANTS  
9 WORKING GROUP LOOKED AT THESE AND SAID, WELL, I WANT  
10 TO MOVE THE CUTOFF LINE UP AND DOWN ONE GRANT. I  
11 JUST WANTED TO SAY THAT BECAUSE THAT DIFFERS FROM  
12 WHAT WE'VE DONE IN THE PAST.

13 CHAIRMAN THOMAS: THAT IS CORRECT,  
14 ALTHOUGH I WOULD SAY THAT THEY'RE FULLY AWARE OF THE  
15 MEANING OF WHERE THEY VOTE. SO THEY KNOW BY IN SO  
16 DOING, THAT'S THEIR SENSE OF WHERE IT SHOULD GO.

17 DR. STEWARD: YES AND NO. YES,  
18 INDIVIDUALLY. NO, ACCORDING TO THE MEAN. SO YOU  
19 MIGHT PERSONALLY VOTE A SCORE THAT WOULD PUT IT IN A  
20 PARTICULAR TIER. BUT AFTER EVERYBODY VOTES, THE  
21 MEAN TAKES IT SOMEWHERE ELSE. JUST TO SAY.

22 CHAIRMAN THOMAS: FAIR ENOUGH. OKAY.  
23 GIL.

24 DR. SAMBRANO: I WOULD AGREE WITH THAT,  
25 BUT I THINK ALSO THE AVERAGE REPRESENTS THE GROUP'S

**BARRISTERS' REPORTING SERVICE**

1 FEELING ON THE APPLICATION. SO IN MANY CASES YOU  
2 WILL SEE THAT WE PROVIDE THE AVERAGE, THE MEDIAN,  
3 THE RANGE. SO IT IS THE OPINION OF THE BROADER  
4 GROUP WHERE IT ENDS UP.

5 DR. STEFFEN: THANK YOU. MR. CHAIRMAN,  
6 BOARD MEMBERS, STAFF, MEMBERS OF THE AUDIENCE, AND  
7 GUESTS, I'LL BE PRESENTING THE STAFF RECOMMENDATIONS  
8 FOR THE DISEASE TEAM III AWARD. FOR THOSE OF YOU  
9 WHO HAVEN'T MET ME, MY NAME IS BETTINA STEFFEN, AND  
10 I'VE BEEN WORKING WITH THE DISEASE TEAM PROGRAM  
11 SINCE ITS INCEPTION IN 2007.

12 THIS AGENDA ITEM IS IN YOUR BINDER. I  
13 WILL BE WORKING OFF THE SLIDES, BUT WANTED TO POINT  
14 OUT TO YOU THAT THERE IS A MEMO IN YOUR BINDER, THE  
15 VERY FIRST DOCUMENT, THAT HAS ALL THE DETAIL OF THE  
16 STAFF RECOMMENDATIONS.

17 A NOTE ON THE PROCESS THAT WE USE. THE  
18 SCIENCE OFFICERS OF THE DEVELOPMENT TEAM AND THE  
19 EARLY TRANSLATIONAL TEAM, OF WHICH NUMBER NOW ELEVEN  
20 IN TOTAL, AND MANY OF THEM ARE HERE TODAY, CONVENE  
21 FOLLOWING THE GRANTS WORKING GROUP IN SEPTEMBER ON  
22 SEVERAL OCCASIONS TO REVIEW AND DISCUSS THE  
23 APPLICATIONS AND CONSIDER OUR STAFF RECOMMENDATIONS.  
24 IN SEVERAL CASES WE FELT THAT THERE WAS ADDITIONAL  
25 INFORMATION THAT WE NEEDED TO GATHER AND DISCUSS.

**BARRISTERS' REPORTING SERVICE**

1 IN SOME CASES IT INCLUDED FOLLOW-UP TELECONFERENCES  
2 WITH THE APPLICANT, THE TEAMS, AND ALSO EXPERTS IN  
3 AREAS WHERE WE NEEDED CLARIFICATION OF ISSUES. SO I  
4 WANT YOU TO KNOW THAT WE FEEL VERY CONFIDENT IN OUR  
5 STAFF RECOMMENDATIONS AND THE APPLICATIONS.

6 THIS TABLE HERE PRESENTS THE SUMMARY OF  
7 OUR RECOMMENDATIONS, AND I WILL WALK YOU THROUGH THE  
8 DETAIL AND RATIONALE FOR EACH OF THE RECOMMENDATIONS  
9 ON SUBSEQUENT SLIDES. THERE IS A CIRM SCIENCE  
10 OFFICER HERE TODAY WHO IS PREPARED TO WALK YOU  
11 THROUGH THE SUMMARY OF THE GRANTS WORKING GROUP  
12 RECOMMENDATIONS SHOULD YOU WANT MORE INFORMATION  
13 ABOUT THE FINDINGS IN THE SCIENTIFIC REVIEW.

14 SO IN SUMMARY, WE ARE GOING TO BE  
15 RECOMMENDING ONE APPLICATION FOR FUNDING WITH A  
16 CONDITION, TWO APPLICATIONS FOR FUNDING, AND ONE  
17 APPLICATION THE STAFF RECOMMENDS THAT YOU DO NOT  
18 FUND. FOR THE THREE RECOMMENDATIONS, I WANT TO  
19 POINT OUT THAT THESE ARE ALL CELL THERAPIES WHERE  
20 THE STEM CELL CONNECTION IS VERY STRONG AND CLEAR.  
21 THEY HAVE THE POTENTIAL FOR IMPACT IN THE PATIENT  
22 POPULATIONS THAT THEY'RE ADDRESSING. AND TWO OF  
23 THESE FALL INTO A PRIORITY AREA FOR THE RFA, WHICH  
24 ARE CONTINUATIONS OF EXISTING CIRM DISEASE TEAM OR  
25 TRANSLATIONAL AWARDS.

**BARRISTERS' REPORTING SERVICE**

1 THE FIRST APPLICATION I'D LIKE TO PRESENT  
2 TO YOU -- SORRY -- THE RECOMMENDATION ON APPLICATION  
3 7281, ENTITLED "A TISSUE ENGINEERED RECELLULARIZED  
4 LARYNGOTRACHEAL IMPLANT." THIS APPLICATION IS  
5 TARGETING DISEASED AND DAMAGED TRACHEAS, AND THE  
6 APPROACH THE APPLICANT IS USING IS AN AUTOLOGOUS  
7 STEM OR PROGENITOR CELL SEATED ONTO A BIOLOGIC  
8 SCAFFOLD.

9 I WANTED TO GIVE YOU SOME BACKGROUND OF  
10 WHAT IS GOING ON IN THIS EXCITING AREA OF TISSUE  
11 ENGINEERING SO THAT YOU CAN UNDERSTAND THE CONTEXT  
12 OF OUR RECOMMENDATION. IN 2008 THE FIRST PATIENT  
13 WAS ACTUALLY TRANSPLANTED WITH SUCH A BIOLOGIC  
14 DEVICE. AND SHE WAS A YOUNG WOMAN WITH SEVERE  
15 TUBERCULOSIS DISEASE. AND DESPITE NEEDING MULTIPLE  
16 STINTING PROCEDURES OVER THE COURSE OF THE FIVE  
17 YEARS, SHE IS ALIVE AND HER QUALITY OF LIFE HAS  
18 IMPROVED. SINCE THEN, 14 OTHER PATIENTS HAVE  
19 RECEIVED TRANSPLANTS, MOST DONE UNDER A  
20 COMPASSIONATE USE RULE, WHICH IS ALLOWED IN  
21 SERIOUSLY ILL PATIENTS WHERE CONVENTIONAL THERAPY  
22 WON'T WORK.

23 THE CHALLENGE -- SO THAT IS A COMPLETELY  
24 LEGITIMATE WAY TO PUT A NEW THERAPY INTO A PATIENT,  
25 BUT THE CHALLENGE IS THAT THE OUTCOMES ARE VERY

## BARRISTERS' REPORTING SERVICE

1 DIFFICULT TO INTERPRET. SO WE CAN'T REALLY AT THIS  
2 TIME SAY WHETHER THIS IS A TERRIFIC SUCCESS FOR  
3 TISSUE ENGINEERING AND REGENERATIVE MEDICINE OR IT'S  
4 WORRISOME BECAUSE IN SOME CASES A COUPLE OF THOSE  
5 PATIENTS WHO HAVE DIED, ACKNOWLEDGING THAT THEY HAVE  
6 GONE INTO VERY SERIOUSLY ILL PATIENTS, MAY OR MAY  
7 NOT BE ATTRIBUTED TO THE ACTUAL TRANSPLANT.

8 SO THAT YOU WILL ASK WHY SHOULD WE GO  
9 BACKWARDS. OUR RECOMMENDATION IS TO FUND THE  
10 PRECLINICAL ASPECT OF THIS PROJECT. AND THE REASON  
11 WE BELIEVE THAT THIS IS, AND WE ARE IN AGREEMENT  
12 WITH THE APPLICANT, IS THAT THERE ARE KEY QUESTIONS  
13 THAT CAN ONLY BE ANSWERED IN THE PRECLINICAL MODELS  
14 AT THIS TIME. AND THAT IS WITH RESPECT TO WHETHER  
15 THE TRACHEAL TRANSPLANTS WILL BE SAFE OVER THE LONG  
16 TERM AND TO ANSWER SOME QUESTIONS ABOUT BIOLOGIC  
17 MECHANISM AND HOW THEY ACTUALLY WORK. THE  
18 OPPORTUNITY IN THE PRECLINICAL MODELS IS TO RECOVER  
19 THE GRAFT, LOOK AT WHETHER THEY WERE REVASCULARIZED,  
20 AND HOW THE CELLS BEHAVE IN CONJUNCTION WITH THE  
21 TISSUE. WE AND OTHERS IN THE FIELD AGREE THAT THIS  
22 IS IMPORTANT WORK.

23 THAT IS THE BACKGROUND TO OUR PROGRAMMATIC  
24 RECOMMENDATION. AND OUR PROGRAMMATIC REASONS, WHICH  
25 ARE NOW DETAILED ON THE SLIDE, IS THE PROJECT

## BARRISTERS' REPORTING SERVICE

1 FOCUSES ON DEVELOPING TISSUE-ENGINEERED REPLACEMENTS  
2 FOR A LARGE AIRWAY DISEASE WHICH WE BELIEVE AND  
3 OTHERS THAT IS POTENTIALLY A TRANSFORMATIVE AREA OF  
4 REGENERATIVE MEDICINE. THIS IS A FIRST STEP IN A  
5 WAY TO BRING ENGINEERED TISSUES TO THE FIELD.

6 THE PROJECT BRINGS THIS TECHNOLOGY TO  
7 CALIFORNIA. IT'S A NOVEL TECHNIQUE AND LEVERAGES  
8 THE MORE ADVANCED WORK THAT'S BEEN DONE IN THE UK  
9 THROUGH A SPECIFIC COLLABORATION WITH THIS TEAM.  
10 THE PROJECT WOULD CREATE AN OPPORTUNITY TO MODEL THE  
11 TECHNOLOGY TRANSFER IN THESE COMPLEX ENGINEERED  
12 PRODUCTS. SO THEY KNOW HOW TO MAKE IT IN THE UK,  
13 AND WE WANT TO BRING THAT TO CALIFORNIA AND SHOW  
14 THAT YOU CAN TRANSFER A COMPLEX PROCESS AND DO IT IN  
15 ANOTHER LOCATION, MAKING IT AVAILABLE TO PATIENTS IN  
16 CALIFORNIA.

17 IF THE POTENTIAL THERAPEUTIC WOULD BE  
18 TESTED AND DEVELOPED, IT PROVIDES ADVANCES IN  
19 CLINICAL DEVELOPMENT, AND EARLY ACCESS WOULD BE  
20 AVAILABLE TO PATIENTS IN CALIFORNIA.

21 NOTABLY, THIS FILLS A GAP IN THE CIRM  
22 PORTFOLIO AS WE HAVE NO OTHER KIND OF HOLLOW TUBE  
23 CONDUITS FOR TISSUE ENGINEERING APPROACHES, AND THIS  
24 IS FELT BY THE FIELD TO BE A VERY LOGICAL ENTRY  
25 POINT FOR SOME OF THESE FASCINATING 3D TISSUE AND

**BARRISTERS' REPORTING SERVICE**

1 ORGAN REGENERATIONS.

2 SO OUR STAFF RECOMMENDATION IS TO FUND IT  
3 WITH A CONDITION. WE RECOMMEND THAT YOU APPROVE  
4 LIMITED FUNDS NOT TO EXCEED \$4.4 MILLION IN TOTAL  
5 COST FOR TWO YEARS IN THE PRECLINICAL ACTIVITIES.  
6 AND WE'VE DETAILED THOSE OUT IN THE RECOMMENDATION.

7 OUR NEXT GRANT TO DISCUSS --

8 CHAIRMAN THOMAS: DR. STEFFEN, I WANT TO  
9 ASK MR. HARRISON. WITH RESPECT TO THESE, SHOULD WE  
10 WAIT TO HEAR ALL FOUR, OR DO WE ACT --

11 MR. SHEEHY: I THINK, HONESTLY, IF I HAD  
12 BEEN ORDERING THIS, I PROBABLY WOULD HAVE HAD THOSE  
13 PRESENTATIONS IN LINE WHEN WE WENT THROUGH THEM  
14 THROUGH THE APPROVAL PROCESS. IF YOU WANT TO GO  
15 THROUGH THEM ALL --

16 DR. STEFFEN: YOU MAY ASK FOR THE  
17 INDIVIDUAL SCIENTIFIC PRESENTATION IF YOU'D LIKE.

18 MR. SHEEHY: I DON'T WANT -- I REALLY  
19 APPRECIATE THE WORK YOU GUYS HAVE DONE, DR. STEFFEN,  
20 AND I DON'T WANT TO HIJACK YOU. BUT IF YOU PRESENT  
21 AND THEN WE DISCUSS, AND THEN WE HAVE TO GO TAKE A  
22 MOTION AND DISCUSS AGAIN, IT GETS FAIRLY CHAOTIC.  
23 IT'S ALMOST EASIER BECAUSE MY INTENTION HAD BEEN TO  
24 TAKE ALL OF YOUR RECOMMENDATIONS TO FUND AS VIRTUAL  
25 MOTIONS AND HAVE YOU GUYS PRESENT, THEN HAVE A

**BARRISTERS' REPORTING SERVICE**

1 DISCUSSION, AND THEN MOVE FROM THERE. IF YOU GUYS  
2 ARE COMFORTABLE, THAT MIGHT BE EASIER.

3 DR. STEFFEN: SURE. WE WERE PREPARED FOR  
4 THAT POSSIBILITY.

5 MR. SHEEHY: OKAY. DOES THAT SOUND FAIR?  
6 COMFORTABLE WITH THAT?

7 CHAIRMAN THOMAS: SOUNDS GOOD.

8 MR. SHEEHY: MR. JUELSGAARD, WE'RE JUST  
9 GOING TO THEM AS MOTIONS WHEN THEY COME UP, AND THEN  
10 WE CAN HAVE A DISCUSSION.

11 DR. JUELSGAARD: DISCUSSION ON THIS ONE  
12 NOW?

13 MR. SHEEHY: NO. WE'LL HAVE THE  
14 DISCUSSION AS ACTUAL MOTIONS TO FUND SO THAT WE CAN  
15 HAVE VOTES AND THEN DISPOSE OF THEM. EITHER WAY.

16 DR. JUELSGAARD: WELL, THE QUESTIONS ARE  
17 FRESH IN YOUR MIND AND THIS DISCUSSION IS FRESH IN  
18 YOUR MIND.

19 MR. SHEEHY: I THINK WE'LL HAVE THE  
20 PRESENTATION. WE WON'T GO THROUGH EACH OF THESE ONE  
21 BY ONE. WE WILL START THE DISCUSSION OF EACH STAFF  
22 RECOMMENDATION IN THE CONTEXT OF THE DISCUSSION OF  
23 TIER II. EITHER THE CHAIR OR A MEMBER WILL MAKE THE  
24 MOTION TO ACCEPT THE STAFF RECOMMENDATION. WE'LL  
25 HAVE THE PRESENTATION, WE'LL HAVE A DISCUSSION,



**BARRISTERS' REPORTING SERVICE**

1 WE'LL HAVE A VOTE. DOES THAT MAKE SENSE? I THINK  
2 THAT WILL BE CLEARER THAN HAVING A FULL DISCUSSION  
3 OF ALL THESE RIGHT NOW AND THEN GOING BACK AND  
4 TRYING TO VOTE ON THEM AFTER. WE NEED TO HAVE SOME  
5 SORT OF ORDER. IS THAT OKAY, DR. STEFFEN?

6 DR. STEFFEN: I JUST NEED CLARIFICATION  
7 WHAT WE WANT TO DO NEXT.

8 DR. FEIGAL: YEAH. I THINK WE'RE STILL A  
9 LITTLE UNCLEAR ABOUT THE PROCESS IF YOU COULD JUST  
10 CLARIFY IT FOR US.

11 MR. SHEEHY: WELL, I THINK DR. SAMBRANO  
12 HAS SOMETHING HE WANTS TO OFFER, AND THEN I WOULD GO  
13 INTO MOVING THINGS AROUND TIERS, STARTING WITH TIER  
14 I AND ASKING THE QUESTION WHETHER ANYONE WOULD LIKE  
15 TO MOVE A PROJECT OUT OF TIER I.

16 CHAIRMAN THOMAS: THE NET EFFECT OF THIS  
17 IS WE WILL RAPIDLY GET TO DISCUSSION OF THIS. JUST  
18 IT PUTS A PROCEDURAL FRAMEWORK.

19 MR. SHEEHY: WE'LL TAKE THEM ONE BY ONE AT  
20 THAT POINT AS OPPOSED TO TAKING THEM OMNIBUS.

21 CHAIRMAN THOMAS: CAN I JUST ASK DR.  
22 STEFFEN ONE OTHER THING? IN EACH OF YOUR  
23 DISCUSSIONS OF TIER II PROJECTS, THERE WERE SOME  
24 RESERVATIONS OF THE GRANTS WORKING GROUP THAT  
25 RESULTED IN THE PROJECTS BEING IN TIER II TO BEGIN

**BARRISTERS' REPORTING SERVICE**

1 WITH. IF YOU COULD JUST PERHAPS ALLUDE TO THOSE AND  
2 HOW IN YOUR ANALYSIS OF ALL THE RELEVANT FACTORS YOU  
3 CONCLUDED THAT YOU'VE OVERCOME THOSE CONCERNS.

4 DR. FEIGAL: DR. STEFFEN, MAYBE WHAT WE  
5 COULD DO, EACH SCIENCE OFFICER -- WE'RE DOING THIS  
6 ALL AD HOC, AS YOU CAN SEE. WHAT I WOULD SUGGEST IS  
7 EACH SCIENCE OFFICER IS PREPARED TO TALK ABOUT THE  
8 ISSUES THAT WERE RAISED AT GRANT REVIEW GROUP. I  
9 THINK THAT WOULD BE MAYBE EASIER TO HAVE THE SCIENCE  
10 OFFICER AS OPPOSED TO DR. STEFFEN GO OVER EACH  
11 INDIVIDUAL ONE.

12 CHAIRMAN THOMAS: THAT WORKS TOO.

13 DR. STEFFEN: AND WE'RE GOING TO WAIT TO  
14 DO THAT. WE'RE GOING --

15 CHAIRMAN THOMAS: CORRECT. DR. SAMBRANO.

16 DR. SAMBRANO: I THINK WE'RE ALL ON THE  
17 SAME PAGE. SO WHAT I WANT TO JUST HIGHLIGHT ARE A  
18 COUPLE OF THINGS. ONE WE'RE SHOWING ON THE SCREEN,  
19 WHICH IS VERY DIFFICULT TO READ, BUT IS IN YOUR  
20 BOOKS AS WELL, WHICH IS A TABLE THAT SHOWS EACH OF  
21 THE APPLICATIONS IN RANK ORDER. IT PROVIDES THE  
22 AVERAGE, MEDIAN, AND STANDARD DEVIATION RANGE OF  
23 SCORES, THE BUDGET THAT WAS REQUESTED FOR EACH  
24 APPLICATION. IN THE CASES WHERE THERE WERE ANY  
25 ADJUSTMENTS, THOSE ARE REFLECTED IN WHAT IS

**BARRISTERS' REPORTING SERVICE**

1 PRESENTED. SO YOU CAN ALSO SEE WHAT THE TOTAL FOR  
2 TIER I AND TIER II WOULD BE IN TERMS OF DOLLARS.

3 YOU ALSO HAVE -- WE DISTRIBUTED A SECOND  
4 TABLE WHICH PARALLELS THE ONE I'M SHOWING YOU THAT  
5 PROVIDES A SUMMARY OF REQUESTS FOR APPEAL OR  
6 RECONSIDERATION AND THE ACTION THAT CIRM TOOK ON  
7 THOSE REQUESTS. SO FROM THAT TABLE, WHICH LOOKS  
8 LIKE THIS, YOU CAN SEE THAT THERE WERE FOUR  
9 APPLICANTS THAT CONSULTED WITH THE REVIEW OFFICE  
10 THAT CHOSE TO SUBMIT AN APPEAL OR RECONSIDERATION  
11 REQUEST. AND THE STAFF FINDINGS ARE PROVIDED IN  
12 EACH OF THOSE INSTANCES.

13 AND I ALSO WANT TO ADD THAT APPLICATION  
14 DR-37201, WHICH IS THE ONE SHOWN AT THE BOTTOM OF  
15 THE TABLE, A NEW REQUEST HAS ARISEN. AND SO IN  
16 ORDER FOR US TO PROCEDURALLY ADDRESS AND GO THROUGH  
17 THAT REQUEST AND MAKE A DETERMINATION, WE'RE GOING  
18 TO DEFER CONSIDERATION OF THAT APPLICATION UNTIL THE  
19 NEXT BOARD MEETING. SO THAT ONE WILL NOT BE THE  
20 SUBJECT OF A VOTE TODAY.

21 CHAIRMAN THOMAS: THANK YOU.

22 DR. JUELSGAARD: SO JUST A QUESTION OF DR.  
23 SAMBRANO. SO IN LOOKING AT THE, NOT THIS SLIDE, BUT  
24 THE ONE THAT SHOWS THE APPEALS, AM I CORRECT IN  
25 NOTING THAT OF THE THREE STAFF RECOMMENDATIONS, THE

**BARRISTERS' REPORTING SERVICE**

1 FIRST OF WHICH WE JUST WENT THROUGH, THE OTHER TWO  
2 YET TO COME, THAT THERE WAS NO APPEAL MADE OF ANY OF  
3 THOSE APPLICANTS OR AT LEAST NONE IS NOTED ON THIS  
4 SHEET?

5 DR. SAMBRANO: THAT'S CORRECT.

6 DR. JUELGAARD: THE APPEAL THAT WAS MADE  
7 IS ON THE ONE FOR WHICH THERE IS A RECOMMENDATION IN  
8 TIER II FOR NO FUNDING. SO DOES THAT MEAN THAT AB  
9 INITIO THE STAFF DECIDED TO RE-REVIEW THIS IN LIGHT  
10 OF THE CDAP RECOMMENDATION AND COME UP WITH A  
11 DIFFERENT RECOMMENDATION?

12 DR. SAMBRANO: I'M NOT SURE I'M CLEAR ON  
13 YOUR QUESTION. SO IN TIER II 7061 IS ONE THAT STAFF  
14 DID NOT RECOMMEND.

15 DR. JUELGAARD: SO IF YOU LOOK AT 7281,  
16 THAT'S THE ONE WE JUST DISCUSSED, RIGHT?

17 DR. FEIGAL: CAN I ANSWER THAT QUESTION  
18 BECAUSE I'M VERY FAMILIAR WITH THAT? THERE WAS  
19 NO -- THAT'S NOT A PREVIOUSLY FUNDED PROJECT. SO  
20 WHETHER OR NOT THERE'S A CDAP IS IRRELEVANT TO THAT  
21 ONE.

22 CHAIRMAN THOMAS: MR. HARRISON HAS A  
23 COMMENT AS WELL.

24 MR. HARRISON: STEVE, IF I UNDERSTAND YOUR  
25 QUESTION CORRECTLY, YOU'RE WONDERING WHETHER

**BARRISTERS' REPORTING SERVICE**

1 EFFECTIVELY STAFF TREATED THOSE APPLICATIONS IN TIER  
2 II AS IF THEY WERE THE SUBJECT OF AN APPEAL AND  
3 CONDUCTED --

4 DR. JUELSGAARD: RIGHT. IN OTHER WORDS,  
5 WHAT I UNDERSTAND, TAKING 7281 AS AN EXAMPLE, I  
6 DON'T SEE ANY APPEAL FROM THE APPLICANT, RIGHT?  
7 WHAT I UNDERSTOOD THE PROCESS WAS IS THAT PEOPLE  
8 COULD APPEAL ON THE BASIS OF NEW INFORMATION OR A  
9 MISTAKE OR SOMETHING LIKE THAT. THE STAFF WOULD  
10 REVIEW AND DECIDE WHETHER THAT WAS TRUE OR NOT.  
11 THAT DOES NOT APPEAR TO BE WHAT'S HAPPENED HERE.  
12 SO, INSTEAD, WHAT I'M LEFT, AND THIS IS MY QUESTION,  
13 IS THE STAFF SIMPLY ITSELF DECIDED TO RE-REVIEW THE  
14 SUBMISSION AND THEN COME UP WITH A RECOMMENDATION  
15 THAT WAS NOT THE SAME RECOMMENDATION AS THE GROUP  
16 THAT REVIEWED THIS.

17 DR. SAMBRANO: SO IT'S NOT A RE-REVIEW.  
18 SO LET ME JUST CLARIFY. SO WHAT I'M PRESENTING IN  
19 THE APPEALS TABLE, THOSE ARE REQUESTS THAT COME FROM  
20 THE APPLICANT BASED ON THE RECOMMENDATIONS THAT WE  
21 PROVIDED TO THEM FROM THE GRANTS WORKING GROUP AS  
22 WELL AS FROM STAFF. PRIOR TO US PRESENTING THE  
23 RECOMMENDATIONS TO THE APPLICANTS, THE STAFF  
24 PREPARED, KNOWING THAT THESE ARE IN TIER II, A  
25 RECOMMENDATION THAT WOULD INFORM YOUR PROGRAMMATIC

**BARRISTERS' REPORTING SERVICE**

1 REVIEW ON THESE APPLICATIONS. SO THOSE TWO ARE  
2 INDEPENDENT.

3 DR. JUELSGAARD: JUST TO BE CLEAR, THERE  
4 WAS NO OBJECTION FROM THE GROUP THAT SUBMITTED 7281  
5 TO THE OUTCOME THAT THEY WERE IN TIER II. THEY  
6 DIDN'T SAY TO YOU, OH, MY GOSH, WHY IS THIS?

7 DR. SAMBRANO: NO. NO. NO.

8 DR. JUELSGAARD: JUST SO I'M CLEAR. SO IT  
9 WAS PURELY ON YOUR OWN AS STAFF THAT YOU DECIDED?

10 MR. SHEEHY: CAN I CLARIFY?

11 DR. SAMBRANO: NO. IT WAS BY VIRTUE OF  
12 THE FACT IT WAS IN TIER II.

13 CHAIRMAN THOMAS: ONE AT A TIME. LET'S  
14 START WITH MR. HARRISON, PLEASE.

15 MR. HARRISON: JUST TO BE CLEAR, PART OF  
16 THE POLICY CHANGES THE BOARD MADE IN RESPONSE TO THE  
17 IOM REPORT AND ACTUALLY EVEN PRIOR TO THAT WAS TO  
18 ASK STAFF, AFTER THE RECOMMENDATIONS WERE MADE BY  
19 THE GRANTS WORKING GROUP, TO EVALUATE THOSE  
20 RECOMMENDATIONS AND TO OFFER ANY ADVICE TO THE BOARD  
21 THAT THEY HAVE. AND TIER II WAS A PARTICULAR AREA  
22 OF FOCUS BECAUSE THOSE APPLICATIONS FALL WITHIN A  
23 CATEGORY WHERE REALLY THE BOARD HAS AN OPPORTUNITY  
24 TO FUND THEM IF IT THINKS THERE ARE PROGRAMMATIC  
25 REASONS TO DO SO.

**BARRISTERS' REPORTING SERVICE**

1 SO WHAT STAFF HAS DONE HERE IS PART OF THE  
2 PROCESS THAT IS EMBODIED IN THE BYLAWS AND WAS DONE  
3 AS PART OF THE AMENDMENTS MADE IN RESPONSE TO THE  
4 IOM REPORT.

5 MR. SHEEHY: AND I WOULD JUST REPEAT. I  
6 JUST WANT TO SUPPORT WHAT JAMES HAS SAID. THIS IS A  
7 CHANGE WE MADE, AND IT'S KIND OF BITTERSWEET. THIS  
8 IS SOMETHING THAT DUANE ROTH WAS VERY, VERY  
9 ENTHUSIASTIC ABOUT HAVING US IMPLEMENT, WHICH WAS WE  
10 GET THESE ONES IN THE MIDDLE AREA, WE HAVE TO MAKE  
11 DECISIONS, AND IT WOULD BE HELPFUL TO HAVE A MORE  
12 EXHAUSTIVE STAFF LOOK AT THESE. AND I THINK THE  
13 SUPPOSITION THAT PEOPLE DIDN'T APPEAL, WELL, THESE  
14 WERE BEING MANAGED BY STAFF. IF STAFF HAD COME BACK  
15 AND SAID DO NOT FUND, I THINK THEY MIGHT HAVE  
16 APPEALED. BUT MOST SMART PEOPLE DON'T STOP A  
17 PROCESS THAT'S GOING IN THEIR FAVOR AND INTERFERE IN  
18 IT.

19 SO I THINK THAT THEY PROBABLY -- THEY  
20 WOULD HAVE TAKEN THE APPROACH THAT WE'RE GOING TO  
21 WAIT FOR THE OUTCOME OF WHAT STAFF IS DOING WITH  
22 THIS. THEY WOULD HAVE APPEALED, I WOULD SUSPECT, OR  
23 WOULD HAVE CONSIDERED APPEALING IF THEY HAD GOT A DO  
24 NOT FUND RECOMMENDATION. BUT IF WHAT THEY HEAR FROM  
25 STAFF IS THAT STAFF HAS LOOKED AT THIS, IN FACT, AS

**BARRISTERS' REPORTING SERVICE**

1 I SAID, STAFF MAY HAVE EVEN CONTACTED THEM FOR SOME  
2 ADDITIONAL INFORMATION, AND THE RECOMMENDATION IS TO  
3 FUND, WHY WOULD YOU GET IN THE WAY OF THAT?

4 DR. JUELSGAARD: JUST SO I UNDERSTAND,  
5 THEN, THE PROCESS. SO THEN THERE IS INTERNAL  
6 DELIBERATION BY STAFF AND A DETERMINATION OF DO NOT  
7 FUND OR WE RECOMMEND FOR FUNDING, AND THEN THEY'RE  
8 TOLD THAT OUTCOME, AND THEN THEY CAN DECIDE WHETHER  
9 TO APPEAL. I MISSED THAT MIDDLE PART OF THE  
10 PROCESS. I DIDN'T REALIZE THAT'S WHAT WENT ON.

11 DR. FEIGAL: WHAT WOULD YOU LIKE US TO DO  
12 NEXT?

13 MR. SHEEHY: I ACTUALLY WAS PREPARED TO  
14 START REVIEW UNLESS DR. SAMBRANO HAD ANYTHING ELSE,  
15 AND WE'LL GO -- LIKE I SAID, WHEN WE COME TO TIER  
16 II, WE'LL GO THROUGH EACH INDIVIDUAL PROJECT AS WE  
17 DO THAT. DOES THAT MAKE SENSE?

18 SO THE VERY FIRST MOTIONS I WILL TAKE IS I  
19 WILL TAKE ANY MOTION TO MOVE ANY APPLICATION IN TIER  
20 I OUT OF TIER I. OTHERWISE IT'S IN A TO FUND  
21 CATEGORY. IF NO ONE HAS A MOTION TO THAT EFFECT,  
22 THEN THE VERY FIRST IN TIER II, WE'LL JUST GO  
23 THROUGH THE STAFF FUND RECOMMENDATIONS. I DON'T  
24 THINK IT'S NECESSARY TO GO THROUGH THE STAFF DO NOT  
25 FUND RECOMMENDATION.



## BARRISTERS' REPORTING SERVICE

1           SINCE THE VERY FIRST ONE WHICH WAS JUST  
2 BROUGHT UP, THAT IS ONE WITH WHICH I HAVE A  
3 CONFLICT, I WILL PASS THE CHAIR TO DR. STEWARD FOR  
4 HIM TO CONDUCT THAT. I THINK THE PROCESS IS  
5 PROBABLY TO ASK FOR A MOTION ON THAT AT THAT POINT,  
6 DR. STEWARD, FROM SOMEBODY. THANK YOU.

7           DR. STEWARD: SO DO WE HAVE ANY MOTIONS  
8 RELEVANT TO THIS PROJECT? YOU JUST HEARD IT  
9 DESCRIBED.

10          CHAIRMAN THOMAS: MOVE WE FUND, PUT IN  
11 TIER I.

12          DR. STEWARD: OKAY. IS THERE A SECOND?

13          DR. DULIEGE: SECOND.

14          DR. STEWARD: SO IT IS OPEN FOR DISCUSSION  
15 THEN. SO WE HAVE HEARD THE BASIS OF IT.

16          MR. HARRISON: CAN I JUST ASK FOR A  
17 CLARIFICATION? IS THAT TO FUND WITH THE CONDITION  
18 RECOMMENDED BY STAFF WITH A BUDGET OF 4.4?

19          DR. STEWARD: RIGHT.

20          DR. Krontiris: QUESTION. I WAS A LITTLE  
21 CONFUSED THAT WOULD THIS -- IS THERE ANY EXPECTATION  
22 THAT THIS GRANT WILL FINISH A CLINICAL TRIAL BY THE  
23 END OF THE FULL PERIOD? AND IF NOT, WHY WOULDN'T  
24 YOU MOVE THIS TO AN EARLY TRANSLATION -- BACK INTO  
25 AN EARLY TRANSLATION CATEGORY?

**BARRISTERS' REPORTING SERVICE**

1 DR. STEFFEN: THAT IS EFFECTIVELY WHAT WE  
2 HAVE DONE BY ASKING FOR TWO YEARS OF FUNDING AT A  
3 REDUCED RATE THAT'S CONSISTENT WITH EARLY  
4 TRANSLATION WITH THE PRECLINICAL TYPE ACTIVITIES.  
5 WITHOUT THAT DATA PACKAGE IN HAND, WE THINK IT IS  
6 VERY DIFFICULT FOR A GRANTS WORKING GROUP, AND THEY  
7 AGREED, TO ASSESS THE FEASIBILITY, DESIGN, AND SO  
8 FORTH OF A CLINICAL TRIAL.

9 DR. KRONTIRIS: SO I GUESS THE QUESTION,  
10 THEN, EVOLVES TO WHY ARE YOU TAKING MONEY FROM THIS  
11 POT WHICH IS SUPPOSED TO GET TO A CLINICAL TRIAL TO  
12 FUND SOMETHING WHICH ISN'T READY FOR A CLINICAL  
13 TRIAL?

14 DR. FEIGAL: BECAUSE WE'RE TRYING NOT TO  
15 BE BUREAUCRATIC. WE ACTUALLY DO HAVE A PATHWAY  
16 CALLED THE ET ALLOWANCE PATHWAY THAT DID ALLOW  
17 PROJECTS THAT WERE MEETING THEIR MILESTONES TO GET  
18 TO THE POINT WHERE THEY COULD DO AN IND FILING.  
19 WE'RE TAKING A PROJECT THAT DID COME INTO THE  
20 CLINICAL TRIAL PATHWAY; BUT WHERE WE FEEL THAT IT'S  
21 A VERY UNIQUE OPPORTUNITY, IT WOULD ADD VALUE TO  
22 CALIFORNIA, THEY HAVE A VERY CLEAR PLAN THAT'S  
23 IMPORTANT, AND THEY HAVE A COLLABORATION WITH THE  
24 INNOVATORS FOR THIS IN THE UK TO LET THEM COME IN  
25 AND WE'LL CONVERT IT INTO THIS ET-TYPE ALLOWANCE

**BARRISTERS' REPORTING SERVICE**

1 PATHWAY WHERE THE END GOAL IS FILING OF AN IND.

2 SO THAT'S THE WAY WE THOUGHT OF IT. WE  
3 HAVE THE FLEXIBILITY AND THE DISCRETION TO DO THAT,  
4 WHETHER OR NOT YOU THINK THAT'S A WISE CHOICE OR A  
5 COMPELLING ARGUMENT, BUT THAT WAS OUR MIND-SET IN  
6 THINKING THROUGH IT.

7 ALSO, I DO WANT TO ADD IF YOU DO WANT TO  
8 HEAR MORE ABOUT THE GRANT WORKING GROUP ISSUES, WE  
9 DO HAVE DR. WHITTLESEA, WHO CAN GO OVER THAT FOR  
10 YOU. DR. STEFFEN WAS GIVING YOU THE PROGRAMMATIC  
11 RECOMMENDATION.

12 DR. STEWARD: I'D LIKE TO ACTUALLY SUGGEST  
13 THAT WE HEAR THAT BRIEF SUMMARY OF STRENGTHS AND  
14 WEAKNESSES OF THE PROJECT.

15 DR. WHITTLESEA: SURE. MEMBERS OF THE  
16 BOARD, I'D BE HAPPY TO GIVE YOU A SUMMARY OF  
17 APPLICATION 7221. SO AS DR. STEFFEN MENTIONED IN  
18 HER BRIEF INTRODUCTION ON THE STAFF RECOMMENDATIONS,  
19 SO IN THIS CASE THE APPLICANT HAS PROPOSED TO USE AN  
20 ENGINEERED REPLACEMENT TRACHEA TO TREAT LARGE AIRWAY  
21 STENOSIS. SO THIS IS A CONDITION THAT CAUSES SEVERE  
22 AIRWAY OBSTRUCTION. AND THE INTENDED APPROACH IS  
23 ACTUALLY USING A BIOLOGICAL SCAFFOLD WHICH IS THEN  
24 POPULATED BY THE PATIENT'S OWN CELLS, SO IT'S AN  
25 AUTOLOGOUS THERAPY, TO ESSENTIALLY ENGINEER A

**BARRISTERS' REPORTING SERVICE**

1 REPLACEMENT TRACHEA WHICH IS THEN SURGICALLY  
2 IMPLANTED.

3 AS WAS MENTIONED BY DR. STEFFEN, SO THE  
4 THERAPEUTIC APPROACH WAS ALREADY USED IN  
5 FIRST-IN-HUMAN STUDIES FOR INDIVIDUAL CASES. AND  
6 THE PROPOSAL BUILDS ON THAT CLINICAL EXPERIENCE TO  
7 CONDUCT THE PRECLINICAL DEVELOPMENT ACTIVITIES AND,  
8 AS PROPOSED, A CLINICAL TRIAL REQUIRED TO MAKE THIS  
9 PROCEDURE ACCESSIBLE TO MORE PATIENTS.

10 IN TERMS OF ISSUES RAISED BY THE GRANTS  
11 WORKING GROUP WITHIN THE AREA OF SIGNIFICANCE AND  
12 IMPACT, GRANTS WORKING GROUP NOTED THAT THE PROPOSED  
13 APPROACH ADDRESSES A CLEAR UNMET MEDICAL NEED IN  
14 THAT THERE ARE NO GOOD THERAPEUTIC OPTIONS AVAILABLE  
15 FOR REPLACEMENT OF LARGE AIRWAY SECTIONS.

16 AND WHILE THE NUMBER OF CASES OF THIS  
17 PARTICULAR CONDITION IS SMALL, THIS APPROACH COULD  
18 OFFER UNIQUE AND LIFESAVING THERAPY FOR THOSE  
19 PATIENTS AFFECTED. AND REVIEWERS DID AGREE THAT  
20 THIS WAS HIGHLY RESPONSIVE TO THE RFA IN THAT IT  
21 REPRESENTS A UNIQUE OPPORTUNITY TO BRING A WORLD  
22 LEADING REGENERATIVE MEDICINE TECHNOLOGY TO  
23 CALIFORNIA. AND THEY DID NOTE THAT THIS PROJECT WAS  
24 VERY UNLIKELY TO RECEIVE FUNDING BY OTHER AGENCIES.

25 WITHIN THE AREA OF SCIENTIFIC RATIONALE

**BARRISTERS' REPORTING SERVICE**

1 AND RISK BENEFIT, A KEY POINT WAS THAT ENGINEERED  
2 TRACHEAS, SIMILAR TO THE PROPOSED DEVELOPMENT  
3 CANDIDATE, HAVE ALREADY BEEN USED IN HUMANS AS WELL  
4 AS IN ANIMAL MODELS. SO THERE IS SOME PRELIMINARY  
5 SAFETY DATA FOR SIMILAR TYPE OF APPROACHES.

6 WITHIN THE AREA OF THERAPEUTIC DEVELOPMENT  
7 READINESS, REVIEWERS AGAIN NOTED THAT HAVING TREATED  
8 PATIENTS ALREADY IS VERY STRONG PROOF OF CONCEPT.  
9 HOWEVER, THEY DID NOTE THAT A SIGNIFICANT AMOUNT OF  
10 IND-ENABLING PRECLINICAL WORK REMAINS TO BE  
11 CONDUCTED.

12 AND MOREOVER, COMPARABILITY, SINCE DR.  
13 STEFFEN MENTIONED THIS, HAD BEEN USED ON A  
14 COMPASSIONATE USE BASIS WITH MORE THAN A DOZEN  
15 PATIENTS, AN OUTSTANDING QUESTION IS COMPARABILITY  
16 OF THE CONSTRUCT BEING DEVELOPED UNDER THIS PROPOSAL  
17 VERSUS THOSE WHICH HAD BEEN USED IN PREVIOUS HUMAN  
18 STUDIES. AND THE MANUFACTURING TEST METHODS WERE  
19 NOT WELL DESCRIBED IN THE PROPOSAL. THE UK  
20 COLLABORATORS WOULD CLEARLY PLAY AN IMPORTANT ROLE  
21 IN TERMS OF TECHNOLOGY TRANSFER AND HELPING THESE  
22 INVESTIGATORS DEVELOP THAT PROCESS IN CALIFORNIA.

23 WITHIN THE AREA OF DESIGN AND FEASIBILITY,  
24 GRANTS WORKING GROUP FELT THAT THE STUDIES WERE WELL  
25 DESIGNED WITH APPROPRIATE MILESTONES AND GO/NO-GO

**BARRISTERS' REPORTING SERVICE**

1 DECISION POINTS. HOWEVER, THERE WAS CONCERN ABOUT  
2 THE FEASIBILITY OF THE TIMELINE TO COMPLETE BOTH THE  
3 REMAINING PRECLINICAL WORK AND THE PROPOSED CLINICAL  
4 STUDY.

5 IN THE AREA OF THE TEAM, THE PI AND TEAM  
6 WERE VIEWED AS WORLD LEADING IN THIS FUNCTIONAL  
7 TISSUE ENGINEERING APPROACH.

8 IN THE AREA OF BUDGET, REVIEWERS WERE  
9 CONCERNED THAT THE BUDGET PROPOSED MAY BE INADEQUATE  
10 TO COMPLETE ALL OF THE REMAINING PRECLINICAL STUDIES  
11 AND THAT SOME ADDITIONAL ACTIVITIES THAT MIGHT BE  
12 NECESSARY WERE NOT BUDGETED FOR.

13 AND LASTLY, REVIEWERS WERE SOMEWHAT  
14 UNCLEAR ON THE RELATIONSHIP BETWEEN THE CALIFORNIA  
15 AND THE UK-BASED TEAMS IN TERMS OF WHAT THAT  
16 COLLABORATION IS GOING TO LOOK LIKE. AND AS WAS  
17 MENTIONED, THAT WAS SOMETHING THAT WE INTERACTED  
18 WITH THE TEAM DURING THE STAFF RECOMMENDATION  
19 PROCESS AND CLARIFIED WHAT THAT RELATIONSHIP IS  
20 GOING TO LOOK LIKE.

21 SO BASED ON THOSE RECOMMENDATIONS, THE  
22 GRANTS WORKING GROUP ARRIVED AT A SCORE OF 70, WHICH  
23 PLACE IT IN TIER II. YOU HEARD A NUMBER OF POINTS  
24 WITHIN THE GRANTS WORKING GROUP RECOMMENDATION WHICH  
25 WERE INCORPORATED INTO THE STAFF RECOMMENDATION TO

**BARRISTERS' REPORTING SERVICE**

1 ADDRESS SOME OF THOSE CONCERNS. HAPPY TO TAKE ANY  
2 QUESTIONS.

3 DR. STEWARD: THANK YOU. ACTUALLY JUST  
4 ONE ADDITIONAL POINT. I'M PROBABLY GOING TO ASK  
5 THIS OF THE OTHERS THAT COME UP, SO I'LL JUST WARN  
6 EVERYBODY NOW. I'M SAYING THIS BECAUSE AT THE  
7 SCIENTIFIC WORKING GROUP LEVEL, A LOT OF THEM THINK  
8 THAT WE ACTUALLY TALK ABOUT PORTFOLIO ISSUES HERE.  
9 THAT'S WHAT THEY THINK PROGRAMMATIC REVIEW ACTUALLY  
10 IS. SO COULD YOU SAY A WORD ABOUT THE DEGREE TO  
11 WHICH THIS IS REPRESENTED IN OUR PORTFOLIO NOW? I  
12 ACTUALLY KIND OF KNOW THE ANSWER, BUT JUST FOR THE  
13 RECORD.

14 DR. WHITTLESEA: SURE. SO DR. STEFFEN  
15 MENTIONED THAT, THAT IT'S A HOLE IN OUR PORTFOLIO.  
16 THERE'S NOTHING LIKE THIS IN TERMS OF A  
17 TISSUE-ENGINEERED CONDUIT. AS DR. STEFFEN  
18 MENTIONED, IN THE TISSUE ENGINEERING FIELD THIS IS  
19 VIEWED AS A REALLY GOOD STEPPING STONE OF FAIRLY  
20 SIMPLE STRUCTURES WITH PRIMARILY A FUNCTIONAL -- A  
21 MECHANICAL ROLE, IF YOU WILL, THAT THIS WOULD BE A  
22 REALLY GOOD FIRST STEP TO START BUILDING CAPACITY.

23 DR. STEWARD: THANK YOU. OTHER QUESTIONS,  
24 COMMENTS? MOTION ON THE FLOOR IS TO APPROVE FOR TWO  
25 YEARS AT A REDUCED BUDGET. NOTHING FURTHER FROM THE

**BARRISTERS' REPORTING SERVICE**

1 BOARD. NO FURTHER DISCUSSION BY THE BOARD? PUBLIC  
2 COMMENT? COULD YOU PLEASE STATE YOUR NAME?

3 MR. BELAFSKY: MY NAME IS PETER BELAFSKY.  
4 I'M AN AIRWAY SURGEON AT UC DAVIS AND PI ON THE  
5 PROJECT.

6 THE AIRWAY STENOSIS IS A PROFOUND  
7 DISABILITY, AND I'VE DEDICATED MY PROFESSIONAL LIFE  
8 TO TAKING CARE OF THESE PATIENTS WITH COMPLEX  
9 BREATHING AND SWALLOWING PROBLEMS.

10 CURRENTLY WE DON'T HAVE GOOD TREATMENTS  
11 FOR THESE TYPES OF PROBLEMS. AND THIS IS A HIGHLY  
12 INNOVATIVE APPROACH IN AN AREA WHERE WE'RE EXTREMELY  
13 FRUSTRATED. AND PATIENTS WITH THIS TYPE OF  
14 BREATHING PROBLEM ARE PROFOUNDLY DISABLED. IT'S  
15 LIKE THEY'RE LIVING A LIFE LIKE A FISH OUT OF WATER,  
16 IF YOU WILL.

17 AND ALTHOUGH IN THE CLINICAL TRIAL THE  
18 PREVALENCE OF PROFOUND AIRWAY STENOSIS IS SMALL, THE  
19 INCIDENCE OF AIRWAY STENOSIS AFTER ENDOTRACHEAL TUBE  
20 INTUBATION IN A CRITICALLY ILL PATIENT IS AS HIGH AS  
21 11 PERCENT. SO WE FEEL THE TRANSLATIONAL POTENTIAL  
22 FOR THIS TECHNOLOGY TO THE GENERAL GOOD OF ALL  
23 CALIFORNIANS IS EXTREMELY HIGH OR UNIQUELY  
24 POSITIONED TO TAKE THIS WORK FORWARD. AND OUR  
25 PATIENTS ARE GOING TO BE RELYING ON THIS, WHICH IS



**BARRISTERS' REPORTING SERVICE**

1 WHY WE'VE BEEN SO DEDICATED TO THIS PROJECT.

2 SO THANK YOU SO MUCH FOR YOUR ATTENTION.

3 DR. STEWARD: THANK YOU VERY MUCH. JAMES,  
4 THAT GETS ROLL CALL ON THIS ONE?

5 MR. HARRISON: YES.

6 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

7 DR. DULIEGE: YES.

8 MS. BONNEVILLE: MICHAEL GOLDBERG.

9 MR. GOLDBERG: YES.

10 MS. BONNEVILLE: STEPHEN JUELSGAARD.

11 DR. JUELSGAARD: YES.

12 MS. BONNEVILLE: ROBERT QUINT.

13 DR. QUINT: YES.

14 MS. BONNEVILLE: OSWALD STEWARD.

15 DR. STEWARD: YES.

16 MS. BONNEVILLE: JONATHAN THOMAS.

17 CHAIRMAN THOMAS: YES.

18 MS. BONNEVILLE: ART TORRES.

19 MR. TORRES: AYE.

20 MS. BONNEVILLE: DIANE WINOKUR.

21 MS. WINOKUR: YES.

22 MR. HARRISON: MOTION CARRIES.

23 MR. SHEEHY: OKAY. NOW, I THINK WE'LL  
24 PROCEED TO THE SECOND STAFF RECOMMENDATION. SO  
25 COULD WE GET A PRESENTATION ON PROJECT 7061, AND

**BARRISTERS' REPORTING SERVICE**

1 THEN I'LL TAKE A MOTION TO EITHER ACCEPT OR NOT  
2 ACCEPT THE STAFF RECOMMENDATION.

3 DR. STEFFEN: THAT IS GOING TO BE  
4 DR. CATHERINE PRIEST.

5 MR. SHEEHY: THANK YOU, DR. STEFFEN.

6 DR. PRIEST: I'M SORRY. DID YOU ASK FOR  
7 THE SUMMARY OR THE STAFF RECOMMENDATION?

8 MR. SHEEHY: STAFF RECOMMENDATION.

9 DR. PRIEST: THIS IS APPLICATION 7061. DO  
10 YOU WANT THE WORDS ON THE COMPUTER ON THE SCREEN?  
11 APPLICATION 7061 ENTITLED "SUBRETINAL DELIVERY OF  
12 HUMAN NEUROPROGENITOR CELLS FOR THE TREATMENT OF  
13 RETINITIS PIGMENTOSA."

14 THE DISEASE IS RETINITIS PIGMENTOSA, AN  
15 EARLY BLINDING DISEASE IN YOUNG ADULTS. AND THE  
16 APPROACH THE APPLICANT IS USING AS AN ALLOGENEIC  
17 HUMAN NEURAL PROGENITOR CELL.

18 THE POINTS FOR CONSIDERATION, THE  
19 PROGRAMMATIC POINTS FOR CONSIDERATION ON THIS  
20 APPLICATION IS THAT CIRM IS FUNDING TWO OTHER  
21 DISEASE TEAMS AND ONE EARLY TRANSLATIONAL PROJECT  
22 AIMED AT RETINAL RESCUE OR RESTORATION. IN THOSE  
23 THREE PROJECTS, DIFFERENT CELL SOURCES AND TARGET  
24 REPLACEMENT CELLS ARE EMPLOYED BY THE PROJECTS. THE  
25 DETAILS OF THOSE PROJECTS ARE A DISEASE TEAM

**BARRISTERS' REPORTING SERVICE**

1 NUMBERED 5739, DEVELOPING A CELLULAR THERAPY FOR THE  
2 SAME INDICATION, RETINITIS PIGMENTOSA.

3 THERE'S ANOTHER DISEASE TEAM USING A  
4 FUNCTIONALLY POLARIZED, SO THAT MEANS THE CELL HAS A  
5 TOP AND BOTTOM THAT IT RECOGNIZES ON SYNTHETIC  
6 SUBSTRATE JUST THE WAY IT WOULD BE ORGANIZED IN YOUR  
7 EYE, EMBRYONIC STEM CELL-DERIVED CELLS IN  
8 AGE-RELATED MACULAR DEGENERATION. THE FOLLOW-ON  
9 PROJECT TO THAT DISEASE TEAM IS BEING CONSIDERED  
10 TODAY AS A TIER I RECOMMENDED FOR FUNDING  
11 APPLICATION. THAT'S 7438.

12 AND THE THIRD APPLICATION IN OUR CURRENT  
13 TRANSLATIONAL PORTFOLIO IS A RECENTLY AWARDED EARLY  
14 TRANSLATIONAL AWARD TO DEVELOP HUMAN EMBRYONIC STEM  
15 CELL-DERIVED SHEETS OF RETINAL PROGENITOR CELLS AND  
16 RETINAL PIGMENTED EPITHELIAL CELLS, SO TWO DIFFERENT  
17 CELL TYPES, IN A SHEET. AND THAT'S RECENTLY BEEN  
18 AWARDED.

19 SO THAT'S THE SUMMARY OF THE STAFF  
20 RECOMMENDATION. I HAVE A SCIENCE OFFICER IF YOU  
21 WANT TO HEAR THE GRANTS WORKING GROUP.

22 MR. SHEEHY: IF WE NEED FURTHER  
23 DISCUSSION. SO DO I HAVE A RECOMMENDATION? DO I  
24 HAVE A MOTION TO ACCEPT THE STAFF RECOMMENDATION  
25 WHICH IS, TO BE CLEAR, NOT FUND THIS APPLICATION.

**BARRISTERS' REPORTING SERVICE**

1 DR. JUELSGAARD: SO MOVED.

2 MR. SHEEHY: SECOND?

3 DR. PRIETO: SECOND.

4 MR. SHEEHY: DO WE HAVE FURTHER  
5 DISCUSSION? DO WE NEED TO HEAR ANYTHING ELSE FROM  
6 STAFF? IT'S TOTALLY TO YOUR DISCRETION IF PEOPLE  
7 WANT TO HEAR MORE.

8 DR. DULIEGE: QUESTION. I UNDERSTAND SOME  
9 OF THE RESERVATIONS OF YOUR RESERVATION OR THE TEAM  
10 ABSOLUTELY. AND ALSO REALIZE THAT THERE WERE SOME  
11 LIMITATIONS IN THIS PROJECT ITSELF. I'M CONFLICTED?  
12 THANK YOU FOR YOUR CAREFULNESS IN REMINDING US.

13 MR. SHEEHY: IS THERE ANY OTHER  
14 DISCUSSION? DO WE HAVE PUBLIC COMMENT ON THIS  
15 GRANT?

16 PLEASE IDENTIFY YOURSELF AND SPEAK INTO  
17 THE MIC FOR THE TRANSCRIPTIONIST. AND WE HAVE THREE  
18 MINUTES PER COMMENT.

19 DR. SMALL: THANK YOU FOR TAKING THIS TIME  
20 AND ENERGY AND EFFORT IN REVIEWING THIS GRANT. I'M  
21 KENT SMALL. I'M THE PRINCIPAL INVESTIGATOR ON THIS  
22 DISEASE TEAM. I'M A PRACTICING OPHTHALMOLOGIST AND  
23 RETINAL SURGEON, AND I SEE RETINITIS PIGMENTATION  
24 PATIENTS ON A DAILY BASIS. THIS HAS DRIVEN ME TO  
25 FIND NEW THERAPEUTIC APPROACHES TO TREAT DEVASTATING

**BARRISTERS' REPORTING SERVICE**

1 DISEASES THAT AFFECT THE VISION.

2 OF THESE, RETINITIS PIGMENTOSA IS ONE OF  
3 THE WORST. STARTING WITH THE LOSS OF NIGHT VISION,  
4 IT PROGRESSES TO COMPLETE LOSS OF VISION OVER THE  
5 YEARS WITH FEW THERAPEUTIC OPTIONS.

6 IN ADDITION TO RUNNING AN ACTIVE CLINIC, I  
7 ALSO HAVE A STRONG BACKGROUND IN CLINICAL AND BASIC  
8 RESEARCH AND HAVE BEEN INVOLVED IN OVER 50 ACADEMIC  
9 AND INDUSTRY CLINICAL TRIALS OVER THE LAST 28 YEARS.

10 OUR DISEASE TEAM APPLICATION HAS EXTENSIVE  
11 PRECLINICAL DATA DEMONSTRATING THAT THE HUMAN  
12 NEUROPROGENITOR CELLS, CNS 10 AS WE CALL THEM,  
13 PRODUCED BY DR. STIMSON, SHOWS REMARKABLE SURVIVAL  
14 AND MIGRATION FOLLOWING TRANSPLANTATION INTO THE  
15 EYE. IT WAS SHOWN TO STABILIZE AND PRESERVE RETINAL  
16 FUNCTION IN RODENT MODELS OF RETINITIS PIGMENTOSA, A  
17 REMARKABLE FINDING THAT WAS PUBLISHED IN A LEADING  
18 JOURNAL.

19 IN ADDITION, THE SAME HUMAN CELLS WERE  
20 SHOWN TO SURVIVE AND BE SAFE FOLLOWING SUBRETINAL  
21 INJECTION INTO THE PRIMATE EYE. AGAIN, THIS WAS  
22 PUBLISHED IN A LEADING JOURNAL AND REPRESENTS ONE OF  
23 THE FEW, VERY FEW, STUDIES WHERE HUMAN STEM CELLS  
24 HAVE BEEN SHOWN TO SURVIVE IN THE PRIMATE EYE.

25 OVER MANY YEARS DR. WHANG AND HER

**BARRISTERS' REPORTING SERVICE**

1 COLLEAGUES HAVE ALSO COMPARED THE THREE STEM CELL  
2 TYPES CURRENTLY BEING PROPOSED FOR CLINICAL TRIALS  
3 IN THE EYE AND HAVE SHOWN THAT THE CNS 10 CELLS  
4 OFFERED THE BEST PRESERVATION OF VISION.

5 OUR APPLICATION ALSO INCLUDED AN FDA  
6 INTERACTION. THE FDA AGREED ON OUR EXPERIMENTAL  
7 PLAN AND TO MOVE TOWARDS THE CLINIC WITH NO MORE  
8 EFFICACY DATA REQUIRED AND NO MAJOR REVISIONS. IN  
9 OTHER WORDS, THE TRANSLATIONAL STUDIES SUPPORTING  
10 THE IND CAN BEGIN IMMEDIATELY.

11 THIS IS IN CONTRAST TO THE EXISTING  
12 CIRM-SUPPORTED STUDY ON FETAL RETINAL PROGENITOR  
13 CELLS WHERE EFFICACY DATA HAS NOT YET BEEN  
14 PRESENTED.

15 THE REVIEWERS WERE GENERALLY FAVORABLE;  
16 BUT, AS YOU HAVE HEARD, HAVE A FEW CONCERNS. FIRST,  
17 THEY CLAIM THE RATIONALE FOR USING THESE CELLS WAS  
18 NOT STRONG. BUT WE COUNTERED THAT THESE CELLS SHOW  
19 A SIGNIFICANT EFFECT IN PUBLISHED REPORTS USING AN  
20 ESTABLISHED RODENT MODEL OF RETINITIS PIGMENTOSA.

21 THEY SUGGEST THE INCLUSION CRITERIA ARE  
22 NOT APPROPRIATE, BUT WE ARE WILLING TO DISCUSS THIS  
23 AND MODIFY BASED ON FURTHER INTERACTIONS WITH THE  
24 FDA.

25 THEY ARE CONCERNED WITH AN INTERRUPTION IN

**BARRISTERS' REPORTING SERVICE**

1 MANUFACTURING THE CELLS. BUT AT THE CITY OF HOPE  
2 GMP FACILITY, WE HAVE JUST FROZEN DOWN 1,300 VIALS  
3 OF SIMILAR CELLS FOR CIRM-FUNDED ALS TRIALS USING  
4 IDENTICAL METHODS.

5 THEY WERE WORRIED ABOUT THE  
6 CHARACTERIZATION OF THE CELLS, BUT DR. SVENDSEN HAS  
7 BEEN WORKING WITH THESE CELLS IN THE RETINA FOR OVER  
8 TEN YEARS, AND WE KNOW MORE ABOUT THESE THAN ANY  
9 OTHER CELL THAT IS BEING CONSIDERED FOR STEM CELL  
10 THERAPY IN THE EYE OR ANY ORGAN SYSTEM.

11 THEY WERE WORRIED ABOUT THE FOUR-YEAR  
12 TIMELINE, BUT THE CELLS ARE READY FOR THE CLINIC,  
13 AND NOW WE HAVE AN 18-MONTH TIMELINE FOR FILING AN  
14 IND, WHICH IS VERY RAPID.

15 THEY WORRIED ABOUT OUR EXPERIENCE IN  
16 REGULATORY AFFAIRS, BUT WE HAVE AN OUTSTANDING  
17 EXPERT IN REGULATORY AFFAIRS, DR. NEIL HACKETT, WITH  
18 US, WHO HAS WORKED IN TRANSLATIONAL STUDIES FOR GENE  
19 THERAPY IN THE EYE AND MORE RECENTLY STEM CELL  
20 THERAPIES OVER 15 YEARS.

21 THIS IS A HIGH QUALITY PROPOSAL, HAS A  
22 GREAT SCORE WITHIN THE FUNDABLE RANGE, AND A GREAT  
23 TEAM. FURTHERMORE, CEDARS-SINAI IS A LEADING  
24 MEDICAL CENTER THAT HAS GAINED MUCH EXPERIENCE IN  
25 STEM CELL THERAPIES FOR THE HEART AND ALS. THE EYE

## BARRISTERS' REPORTING SERVICE

1 IS IDEALLY SUITED FOR CELL-BASED THERAPY. AS IT HAS  
2 IMMUNE PRIVILEGE, IT CAN BE EASILY INJECTED IN THE  
3 EYES, DIRECTLY OBSERVE THEM, AND RELIABLY MEASURES  
4 ANY EFFECTS THEY HAVE.

5 ONLY PROBLEM SEEMS TO BE THAT THERE ARE  
6 OTHER GRANTS FUNDING THE EYE, FOCUSING ON THE EYE.  
7 WELL, OF COURSE. THIS IS BECAUSE THE EYE IS THE  
8 MOST LIKELY AREA OF IMMEDIATE SUCCESS IN THE STEM  
9 CELL TRANSPLANT FIELD. I STAND READY TO GET TO WORK  
10 AND MOVE THESE PROMISING CELLS INTO THE CLINIC FOR  
11 BLINDNESS.

12 DR. SVENDSEN: CLIVE SVENDSEN,  
13 CEDARS-SINAI. WELL, HERE I AM AGAIN. I'M GOING TO  
14 BE SUPPORTING THIS PROPOSAL. FIRST, I'D LIKE TO  
15 POINT OUT IT IS AN OUTSTANDING APPLICATION FROM A  
16 VERY EXPERIENCED INVESTIGATOR. YOU JUST HEARD FROM  
17 DR. SMALL. IT'S NOT A NEW PROJECT FOR ME. I'VE  
18 BEEN COORDINATING EYE PROJECTS, ALTHOUGH I'M BETTER  
19 KNOWN FOR ALS, FOR THE LAST TEN YEARS IN THE RETINA,  
20 WORKING WITH DR. GAM AND DR. LUND AND DR. WHANG,  
21 WHO'S IN THIS PROJECT.

22 WE'VE HAD FOUR PAPERS PUBLISHED IN THIS  
23 AREA. AND THESE CELLS, CNS 10, CAN MIGRATE. THAT'S  
24 FROM THE CORTEX. THEY ACTUALLY MIGRATE WITHIN THE  
25 EYE AND PULL THE WHOLE OF THE BACK OF THE EYE UP AND



**BARRISTERS' REPORTING SERVICE**

1 PROVIDE FANTASTIC RESULTS IN THESE PRECLINICAL  
2 ANIMAL STUDIES, BOTH IN MACULAR DEGENERATION AND  
3 RETINITIS PIGMENTOSA.

4 LOOK AT THE LIST OF GRANTS UP ON THE  
5 SCREEN JUST NOW. WE SCORED WELL BY THE REVIEWERS.  
6 IN FACT, WE'RE THE SAME LEVEL OR HIGHER THAN TWO  
7 OTHERS THAT WERE RECOMMENDED FOR FUNDING BY STAFF,  
8 AS YOU JUST HEARD. THE ONLY REASON WE'RE NOT BEING  
9 RECOMMENDED IS THERE WERE TOO MANY EYE GRANTS  
10 APPARENTLY.

11 RATHER THAN DESCRIBING OUR TRIAL FEATURES,  
12 THEY SIMPLY LISTED OTHER GRANTS FOCUSED ON THE EYE.  
13 I'D LIKE TO ADDRESS THIS DIRECTLY. FIRST, THREE OF  
14 THE FUNDED DISEASE TEAM AWARDS TO DATE FOCUS ON  
15 CANCER. SIX PREVIOUS CIRM GRANTS HAVE BEEN AWARDED  
16 JUST FOR BRAIN CANCER, 11 FOR LEUKEMIA, 31 FOR  
17 CANCER OVERALL. LIST GOES ON. TEN FOR AUTISM, 20  
18 FOR PARKINSON'S DISEASE, AND 45 FOR HEART. HOW MANY  
19 HAVE BEEN FUNDED FOR THE EYE? NINE. NINE GRANTS  
20 FOR AN ORGAN THAT'S CLEARLY SUITED TO STEM CELL  
21 THERAPY. WHY SHOULD WELL-SCORED GRANTS USING STEM  
22 CELLS TO TREAT THE EYE AND BLINDNESS SUDDENLY BE  
23 TREATED DIFFERENTLY BY CIRM TO ALL THE OTHER  
24 DISEASES? IT MAKES NO SENSE TO ME, AND I DON'T  
25 THINK IT'S FAIR.

**BARRISTERS' REPORTING SERVICE**

1 IT'S EXACTLY BECAUSE THE EYE IS IDEALLY  
2 SUITED TO TESTING THESE THERAPIES, THAT THERE ARE SO  
3 MANY APPLICATIONS USING STEM CELLS IN THIS AREA.  
4 INDEED, THE FIRST WORLD'S AUTOLOGOUS IPS TRANSPLANT  
5 IS GOING TO BE IN THE EYE, AS YOU HEARD FROM DR.  
6 TROUNSON EARLIER.

7 IN ADDITION, THE ONLY HUMAN EMBRYONIC STEM  
8 CELL TRIALS CURRENTLY GOING ON IN THE U.S.A. ARE IN  
9 THE EYE, AND MANY MORE ARE PLANNED AROUND THE WORLD.  
10 WHY? THE EYE IS UNIQUE. IT'S IMMUNE PRIVILEGED.  
11 YOU CAN SEE EASILY THE EFFECTS WITH THIS FANTASTIC  
12 MODERN EQUIPMENT. SURGICAL APPROACH TO PUT CELLS IN  
13 THE EYE ARE WELL DEVELOPED AND SAFE. OUR TEAM IN  
14 THIS PROPOSAL, WE HAVE EXTREMELY STRONG PRECLINICAL  
15 DATA, SUGGESTING THAT THESE MIGRATE -- THESE CELLS  
16 CAN MIGRATE AND RESTORE FUNCTION IN MODELS. EYE  
17 DISEASES ARE DEVASTATING CONDITIONS FOR ANY PERSON  
18 TO LIVE WITH, AS YOU WILL HEAR IN A MOMENT. IT ROBS  
19 YOU OF SEEING THOSE YOU LOVE, ROBS YOU OF MOTILITY.

20 IN MY HUMBLE OPINION, LAST COMMENT, IF  
21 CIRM WANTS A HOME RUN AS A RESULT OF SPENDING \$3  
22 BILLION ON STEM CELLS, A FOCUS ON THE EYE MAY BE THE  
23 BEST CHANCE OF SUCCESS. AS MENTIONED PREVIOUSLY BY  
24 MANY OF MY FRIENDS AT CIRM, WE SHOULD BE MAKING  
25 MULTIPLE STRIKES AT THE GOAL WHEREVER POSSIBLE, NOT

**BARRISTERS' REPORTING SERVICE**

1 JUST FOCUSING ON A SINGLE APPROACH. BUT THE CANCER  
2 PROPOSAL IS STRONG, HAS A GREAT CHANCE OF SCORING AN  
3 IMPORTANT GOAL FOR THE STATE. MAKING A BLIND PERSON  
4 SEE AGAIN OR REDUCING VISION LOSS FOR SOMEONE WHO'S  
5 TOLD THEY'LL GO BLIND COULD BE THE GREATEST LEGACY  
6 LEFT BY CIRM. NOW IS THE TIME TO INVEST IN THE  
7 PROPOSAL. THE SCIENCE IS GOOD AND SUPPORTED BY THE  
8 REVIEW PROCESS. WE HAVE THE CELLS. WE HAVE THE FDA  
9 ON BOARD. WE HAVE THE SURGEONS AND PATIENTS READY  
10 AND NOW WE NEED THE FUNDING.

11 MS. APPLGREN: HELLO. GOOD MORNING. I  
12 THINK IT'S MORNING. MY NAME IS CARMEN APPLGREN. I  
13 HAVE HAD RETINITIS PIGMENTOSA SINCE I WAS SEVEN  
14 YEARS OLD. IT'S A VERY DEBILITATING DISEASE. IT  
15 STARTS OFF AS PARTIAL SIGHT, AND THEN IT GETS WORSE  
16 AND WORSE AND WORSE. AND AS YOU GET OLDER, I AM 65  
17 NOW, IT CHANGES DAILY. MY SIGHT IS WORSE TODAY THAN  
18 IT WAS YESTERDAY. I WORKED FOR 21 YEARS AT BRAILLE  
19 INSTITUTE AND WAS PART OF THE RP COMMUNITY FOR ALL  
20 OF THAT TIME. I KNOW MANY, MANY PEOPLE WITH THIS  
21 DISEASE.

22 THERE ARE 15,000 PEOPLE IN CALIFORNIA WITH  
23 RETINITIS PIGMENTOSA. LOSING YOUR SIGHT IS JUST --  
24 IT'S A TERRIBLE THING. IT TAKES AWAY, IT IMPAIRS  
25 YOUR HUMAN ABILITIES, IT MAKES LIFE VERY DIFFICULT,

**BARRISTERS' REPORTING SERVICE**

1       SOMETIMES MISERABLE, AND AT THIS POINT VERY SCARY.  
2       VERY SCARY.

3               WHAT KENT SMALL IS DOING WITH HIS TEAM IS  
4       PROGRESS. WE NEED THIS CURE NOW. THERE ARE SO MANY  
5       OF US OUT THERE, THAT WE NEED THIS CURE NOW. PLEASE  
6       FUND THIS STUDY. FROM BOTTOM OF MY HEART, I  
7       REPRESENT ALL THE PEOPLE WITH RETINITIS PIGMENTOSA.  
8       PLEASE HELP US NOW SO WE CAN LIVE A GOOD LIFE ONCE  
9       AGAIN.

10              MR. SHEEHY: ANY ADDITIONAL PUBLIC  
11       COMMENT? SO DO WE HAVE ANY ADDITIONAL BOARD  
12       COMMENT?

13              MS. WINOKUR: IT SEEMS THAT THERE IS  
14       SYNERGY WITH THE PROCESSES INVOLVED IN THIS PROPOSAL  
15       WITH ALS. IT'S NEURAL STEM CELLS THAT ARE GOING TO  
16       BE USED. AND THERE IS A CIRM-FUNDED ALS PROJECT AT  
17       CEDARS-SINAI DR. SVENDSEN HEADS. SO COULD YOU  
18       ANSWER MY QUESTION ABOUT THE SYNERGY BETWEEN THE  
19       TWO?

20              DR. SVENDSEN: CLEARLY THERE'S A LOT OF  
21       SYNERGY. THE CELLS THAT WE'RE USING ARE FAIRLY --  
22       PRETTY MUCH THE SAME CELL. WE JUST DON'T ENGINEER  
23       THEM TO MAKE GDNF AS WE DID WITH THE LINE. BUT THE  
24       GMP MANUFACTURING PROCESS IS IDENTICAL. IT'S  
25       ALREADY BEING CARRIED OUT AT CITY OF HOPE. SO I

**BARRISTERS' REPORTING SERVICE**

1 THINK THERE'S A LOT -- THERE WOULD BE A LOT OF  
2 INTERACTIONS BETWEEN DR. SMALL AND BETWEEN MY GROUP.  
3 AND I THINK WE'VE BEEN THROUGH A LOT OF REGULATORY  
4 PROCESS HERE WITH CIRM, SO WE'RE VERY FAMILIAR WITH  
5 THE TECHNIQUES, AND WE WOULD BE APPLYING THIS TO  
6 THIS GRANT.

7           AGAIN, IT WAS SCORED IN THAT ZONE. IN  
8 FACT, WE'RE NO. 6. AND SO TWO GRANTS BELOW US ARE  
9 GOING TO GET FUNDED IF WE CARRY ON, IF THE VOTES GO  
10 THE WAY THEY DO. WE WERE SCORED BETTER THAN ONE OF  
11 THOSE GRANTS THAT WERE RECOMMENDED FOR FUNDING BY  
12 THE STAFF.

13           NOW, I UNDERSTAND THERE ARE FOUR OR FIVE  
14 OTHER GRANTS IN THIS AREA, BUT WHY DO WE SUDDENLY  
15 START PICKING THE EYE AS AN AREA WE'RE NOT GOING TO  
16 FUND MANY GRANTS IN? IT JUST DOESN'T MAKE SENSE TO  
17 ME.

18           MS. WINOKUR: IT SEEMS THAT A MAJOR  
19 OBJECTION IS THE CONCATENATION RIGHT NOW OF  
20 PROPOSALS HAVING TO DO WITH RETINITIS PIGMENTOSA. I  
21 AM INTERESTED IN ALS, AND I THINK THAT ALS DOES NOT  
22 HAVE VERY MANY PROJECTS DONE UNDER CIRM. AND IF  
23 THIS WOULD HELP MOVE FORWARD THE DEVELOPMENT OF THE  
24 SAME NEURAL STEM CELLS THAT COULD BE DIVERTED TO  
25 HELPING WITH ALS, I THINK THAT'S ANOTHER THING IN

**BARRISTERS' REPORTING SERVICE**

1 FAVOR OF THE PROPOSAL.

2 MR. SHEEHY: MR. THOMAS HAD A COMMENT, AND  
3 THEN MAYBE WE COULD HEAR FROM STAFF ABOUT SOME OF  
4 THE QUESTIONS THAT MS. WINOKUR OFFERED.

5 CHAIRMAN THOMAS: SO THE STAFF  
6 RECOMMENDATION, INDEED, IS BASED ON MULTIPLE  
7 PROJECTS. BUT, AGAIN, THIS WAS PLACED IN TIER II  
8 BECAUSE OF A VARIETY OF CONCERNS WITHOUT WHICH IT  
9 WOULD HAVE BEEN POTENTIALLY IN TIER I. SO I'D LIKE  
10 TO HEAR FROM DR. PRIEST ABOUT WHAT THOSE CONCERNS  
11 WERE AND AN ANALYSIS OF THOSE.

12 DR. PRIEST: THANK YOU, J.T. GOOD  
13 MORNING, MEMBERS OF THE PUBLIC AND MEMBERS OF THE  
14 BOARD. MY NAME IS CATHERINE PRIEST, AND I'M  
15 PREPARED TO GIVE YOU A SUMMARY OF THE GRANTS WORKING  
16 GROUP'S ASSESSMENT OF THIS APPLICATION.

17 THIS APPLICATION DESCRIBES DEVELOPMENT OF  
18 AN ALLOGENEIC HUMAN NEURAL PROGENITOR CELL OR HNPC  
19 THAT WOULD BE ADMINISTERED TO SLOW OR HALT  
20 PROGRESSION OF RETINITIS PIGMENTOSA AS WE'VE HEARD.  
21 THE APPLICANTS PRESENT DATA FROM PRECLINICAL STUDIES  
22 SHOWING LONG-TERM ENGRAFTMENT OF THE CELLS AND  
23 MAINTENANCE OF VISUAL FUNCTION IN PRECLINICAL MODELS  
24 OF PROGRESSIVE RETINAL DISEASE.

25 THE PROPOSAL INCLUDES MANUFACTURING

**BARRISTERS' REPORTING SERVICE**

1 CLINICAL GRADE HNPC, EXAMINING THE ACTIVITY AND  
2 SAFETY OF THE CELLS IN PRECLINICAL MODELS,  
3 SUBMITTING THE SUPPORTING DATA TO THE FDA, AND IF  
4 APPROVED, CONDUCTING A PHASE I-II A CLINICAL TRIAL  
5 TO ASSESS THE SAFETY AND EFFICACY OF THE CELLS IN  
6 PATIENTS WITH RETINITIS PIGMENTOSA.

7 THE KEY STRENGTHS OF THIS APPLICATION WERE  
8 IDENTIFIED UNDER THE SIGNIFICANCE AND IMPACT  
9 CRITERIA. THE APPLICATION PROPOSES TO DEVELOP A  
10 STANDARDIZED CELLULAR THERAPEUTIC PRODUCT FOR USE IN  
11 PATIENTS WITH RETINITIS PIGMENTOSA, WHICH IS A  
12 COMMON FORM OF PROGRESSIVE BLINDNESS FOR WHICH THERE  
13 IS CURRENTLY NO CURE.

14 AND THE PRINCIPAL INVESTIGATOR WAS  
15 RECOGNIZED AS AN ACTIVE, EXPERIENCED CLINICAL  
16 RESEARCHER WHO HAS ASSEMBLED A CALIFORNIA-BASED TEAM  
17 WHO HAS EXPERTISE WORKING WITH HNPC AND RETINAL  
18 DISEASES. HOWEVER, THE GRANTS WORKING GROUP DID  
19 FIND WEAKNESSES IN THE APPLICATION THAT LED TO THE  
20 REVIEW SCORE AND A RECOMMENDATION TO PLACE THIS  
21 APPLICATION IN TIER II.

22 THE FIRST SET OF POINTS CONCERNED THE  
23 SCIENTIFIC RATIONALE AND FEASIBILITY OF DEVELOPING  
24 THE HNPC AS A CELLULAR THERAPEUTIC FOR THE TREATMENT  
25 OF RETINITIS PIGMENTOSA UNDER THE CURRENT RFA.

**BARRISTERS' REPORTING SERVICE**

1 REVIEWERS THOUGHT THAT THE SCIENTIFIC RATIONALE IS  
2 NOT VERY STRONG TO SUPPORT THE MECHANISM THROUGH  
3 WHICH THE APPLICANTS PROPOSE THE HNPC COULD BE  
4 AFFECTING CLINICAL PROGRESSION OF RETINITIS  
5 PIGMENTOSA. THERE ARE, AS WE'VE HEARD, CELL  
6 THERAPIES CURRENTLY UNDER DEVELOPMENT FOR TREATMENT  
7 OF RETINITIS PIGMENTOSA.

8 FROM THE FEASIBILITY CRITERION, REVIEWERS  
9 NOTED THAT THE APPLICATION DID NOT PRESENT A VERY  
10 COMPREHENSIVE CHARACTERIZATION OF THE HNPC  
11 POPULATION THAT WOULD BE DELIVERED, AND THIS WOULD  
12 BE NECESSARY FOR DEMONSTRATION THAT THE CELLS REMAIN  
13 COMPARABLE OVER THE DEVELOPMENT PROGRAM.

14 IT DID NOT APPEAR POSSIBLE TO CONDUCT THE  
15 PROPOSED STUDY DESIGNS WITHIN THE FOUR-YEAR PROJECT  
16 TIMELINE OF THE RFA. AND THE APPLICATION DESCRIBES  
17 RETINITIS PIGMENTOSA AS, QUOTE, A SLOWLY PROGRESSING  
18 DISEASE, UNQUOTE, WHICH THE REVIEWERS NOTED COULD  
19 REQUIRE A PROLONGED PATIENT OBSERVATION PERIOD TO  
20 IDENTIFY CLINICAL EFFECTS OF THIS TREATMENT.

21 THE SECOND SET OF CONCERNS CENTER ON THE  
22 RISK BENEFIT CONSIDERATIONS OF SUPPORTING A  
23 DEVELOPMENT PROGRAM USING THESE NPC'S AS A TREATMENT  
24 FOR RETINITIS PIGMENTOSA. SOME REVIEWERS THOUGHT  
25 THAT THE INCLUSION CRITERIA PROPOSED FOR THE PHASE



## BARRISTERS' REPORTING SERVICE

1 I-IIA CLINICAL TRIAL WOULD SELECT AN INAPPROPRIATE  
2 PATIENT POPULATION FOR A FIRST-IN-HUMAN INDICATION.  
3 AND GETTING REGULATORY APPROVAL FOR THE DEVELOPMENT  
4 OF THESE CELLS AS A POTENTIAL THERAPEUTIC FOR  
5 PATIENTS WITH RETINITIS PIGMENTOSA MAY BE  
6 CHALLENGING. AND WITHOUT HAVING CERTAIN REGULATORY  
7 AGREEMENTS IN PLACE, REVIEWERS THOUGHT THEY COULD  
8 NOT FULLY ASSESS THE POTENTIAL FOR SUCCESSFUL  
9 TRANSLATION OF THE PROGRAM.

10 THIS CONCLUDES THE SUMMARY. I'D BE HAPPY  
11 TO TAKE ANY QUESTIONS.

12 MR. SHEEHY: DR. STEWARD.

13 DR. STEWARD: COULD YOU REMIND US WHERE WE  
14 ARE IN TERMS OF BUDGET? ASSUMING, FOR EXAMPLE, THAT  
15 WE FUNDED THE TOP TIER AND WE JUST APPROVED ONE,  
16 WHERE DO WE STAND IN TERMS OF THE APPROVED BUDGET  
17 FOR THIS VERSUS -- I'M ASKING THIS QUESTION BECAUSE  
18 I THINK, AS WE'VE HEARD MANY TIMES AT THIS MEETING,  
19 WE NEED TO LOOK VERY CAREFULLY AT ISSUES OF OUR  
20 ECONOMY AS WE LOOK TOWARD THE FUTURE.

21 MR. SHEEHY: TIER I IS 47 MILLION AND THEN  
22 WE JUST ADDED FOUR MILLION.

23 DR. STEWARD: AND THE AMOUNT APPROVED?

24 MR. SHEEHY: GLOBAL IS 100 MILLION FOR  
25 THIS ROUND.

**BARRISTERS' REPORTING SERVICE**

1 DR. SAMBRANO: I JUST ADDED THE ONE THAT  
2 WAS VOTED ON INTO TIER I. SO THE 47,192 INCLUDES  
3 APPLICATION 7281.

4 MR. SHEEHY: SO IT'S 47. I WAS WONDERING.  
5 I HAD A NOTE DOWN THAT IT WAS 42.7 AND I WONDERED.  
6 I THOUGHT MAYBE I WAS GETTING A LITTLE TOUCHED IN  
7 THE HEAD.

8 ANY OTHER COMMENTS? ANY OTHER QUESTIONS,  
9 COMMENTS FROM MEMBERS OF THE BOARD? I THINK WE ARE  
10 READY FOR ROLL CALL.

11 CHAIRMAN THOMAS: CAN I JUST ASK ONE OTHER  
12 QUESTION? PUTTING ASIDE THE ISSUE OF MULTIPLE OTHER  
13 FUNDED PROJECTS, AS WE'VE DISCUSSED, FROM A  
14 SCIENTIFIC ANALYTICAL POINT OF VIEW, WHAT IS YOUR  
15 OPINION AS TO WHETHER OR NOT THIS WOULD DESERVE  
16 FUNDING?

17 DR. STEFFEN: SO THAT QUESTION WAS  
18 DISCUSSED WITH THE TEAM. AND THERE ARE SOME KEY  
19 ACTIVITIES THAT CAN BE DONE IN THE INTERIM TO ASSURE  
20 A REGULATORY PATHWAY FOR THE USE OF THESE CELLS IN  
21 THE TRIAL AND FOR COMMERCIALIZATION. SO WE WOULD  
22 RECOMMEND THAT THE APPLICANT ADDRESS THOSE ISSUES,  
23 AND WE WOULD WELCOME THE APPLICATION TO COME BACK.  
24 WE WILL STILL FACE THE SAME CONUNDRUM OF OTHER  
25 APPLICATIONS IN THE AREA, BUT THERE WAS AT LEAST ONE

**BARRISTERS' REPORTING SERVICE**

1 KEY ISSUE THAT NEEDS TO BE ADDRESSED WITH THE  
2 REGULATORY AUTHORITIES.

3 CHAIRMAN THOMAS: THANK YOU.

4 DR. SVENDSEN: CAN I JUST RESPOND TO THAT  
5 VERY BRIEFLY BECAUSE WE HAVE BEEN IN COMMUNICATION  
6 WITH CIRM. IS THAT OKAY?

7 MR. SHEEHY: GO AHEAD, PLEASE.

8 DR. SVENDSEN: THANK YOU. THOSE PROBLEMS  
9 WE HAVE ADDRESSED SPECIFICALLY. ONE WITH THE FDA,  
10 WHO ACTUALLY SENT US A NOTE SAYING THAT WE COULD GET  
11 TISSUE EXEMPTION FOR USE IN RETINITIS PIGMENTOSA BY  
12 SENDING THEM A LETTER. THEY WERE VERY LIKELY TO  
13 GIVE US THE EXEMPTION. SO THAT WASN'T AN ISSUE.

14 THE MANUFACTURING ISSUES ARE ALL RESOLVED.  
15 WE'VE HAD THOSE -- ALREADY THE CELLS FROZEN IN THE  
16 BANK. SO I DON'T UNDERSTAND EXACTLY WHAT THE  
17 MANUFACTURING OR REGULATORY ISSUES WERE THAT WERE  
18 NOT IN PLACE BECAUSE, FROM MY UNDERSTANDING OF THE  
19 PROPOSAL, EVERYTHING IS IN PLACE.

20 DR. STEFFEN: SO WE'RE -- IN AN ATTEMPT TO  
21 KEEP YOUR PROJECT INFORMATION CONFIDENTIAL, OUR READ  
22 OF THE REGULATORY DOCUMENTATION, THE EMAIL THAT WAS  
23 SENT RECENTLY AS PART OF THE APPEAL, IS THE SAME  
24 MESSAGE THAT WAS IN THE MIDDLE OF JULY 2012, WHICH  
25 IS SUBMIT YOUR PACKAGE, WE WILL REVIEW IT, AND WE

**BARRISTERS' REPORTING SERVICE**

1 WILL CONSIDER IT FOR AN EXEMPTION. SO WE WERE  
2 LOOKING FOR THAT ACTIVITY TO OCCUR AND HAPPEN TO  
3 GIVE US ASSURANCE THAT THIS CELL SOURCE HAS A  
4 PATHWAY FORWARD, NOT ONLY INTO THE PHASE I, BUT TO  
5 BE FULLY COMMERCIALIZED. IT HAS TO DO WITH DONOR --

6 DR. FEIGAL: I THINK THERE'S CONFIDENTIAL  
7 INFORMATION THAT STILL RAISES SOME ISSUES THAT HAVE  
8 NOT YET BEEN RESOLVED TO OUR SATISFACTION THAT WOULD  
9 REQUIRE SOME ADDITIONAL INPUT. SO IF YOU WANT TO GO  
10 INTO CONFIDENTIAL, WE COULD GO INTO THAT.

11 MR. SHEEHY: UNLESS A MEMBER REQUESTS IT,  
12 I THINK WE'RE AT A POINT OF ROLL CALL. AGAIN, IT'S  
13 TO THE DISCRETION.

14 MR. HARRISON: TO RESTATE THE MOTION, IT'S  
15 TO ACCEPT THE STAFF RECOMMENDATION NOT TO FUND  
16 APPLICATION 7061.

17 MS. BONNEVILLE: MARCY FEIT.

18 MS. FEIT: YES.

19 MS. BONNEVILLE: MICHAEL GOLDBERG.

20 MR. GOLDBERG: NO.

21 MS. BONNEVILLE: STEPHEN JUELSGAARD.

22 DR. JUELSGAARD: YES.

23 MS. BONNEVILLE: FRANCISCO PRIETO.

24 DR. PRIETO: AYE.

25 MS. BONNEVILLE: ROBERT QUINT.

**BARRISTERS' REPORTING SERVICE**

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

DR. QUINT: NO.

MS. BONNEVILLE: AL ROWLETT.

MR. ROWLETT: YES.

MS. BONNEVILLE: JOAN SAMUELSON. JEFF SHEEHY.

MR. SHEEHY: YES.

MS. BONNEVILLE: OSWALD STEWARD.

DR. STEWARD: NO.

MS. BONNEVILLE: JONATHAN THOMAS.

CHAIRMAN THOMAS: YES.

MS. BONNEVILLE: ART TORRES.

MR. TORRES: AYE.

MS. BONNEVILLE: DIANE WINOKUR.

MS. WINOKUR: NO.

MR. HARRISON: THAT MOTION CARRIES.

MS. WINOKUR: IS IT APPROPRIATE TO MAKE A MOTION TO FOLLOW UP ON THE STAFF RECOMMENDATION, THAT THE TEAM DISCUSS PRIVATELY WITH THEM THE REGULATION ISSUES THAT WERE RAISED?

DR. FEIGAL: I CAN TELL YOU WITHOUT YOU EVEN MAKING A MOTION THAT WE WILL DO THAT.

MS. WINOKUR: OKAY.

MR. SHEEHY: SO JUST AS A QUESTION, DO WE WANT TO KEEP PLOWING THROUGH, OR DO PEOPLE WANT TO TAKE A BREAK? YOU KNOW WHOSE MEMORY IS IN MY MIND

**BARRISTERS' REPORTING SERVICE**

1 IS LEEZA YESTERDAY. BUT IF YOU GUYS WANT TO KEEP  
2 GOING, WE CAN, BUT I JUST WANTED TO OFFER THAT UP,  
3 JUST LIKE A SHORT BREAK IF ANYBODY NEEDS A BREAK.  
4 WE JUST KIND OF PLOW THROUGH THIS STUFF. IF NOBODY  
5 WANTS TO, I'LL GO -- A BREAK. LET'S TRY TO GET  
6 THROUGH THESE. JUST A SHORT FIVE- OR TEN-MINUTE  
7 BREAK JUST SO WE CAN GET SOME -- WE DON'T HAVE TO  
8 MAKE THIS A MARATHON. FIVE-MINUTE BREAK.

9 (A RECESS WAS TAKEN.)

10 MR. SHEEHY: IF WE COULD GO AHEAD AND  
11 QUEUE UP THE NEXT APPLICATION, WHICH WOULD BE THE  
12 STAFF RECOMMENDATION FOR 6945.

13 MS. WINOKUR: COULD I MAKE A MOTION THAT  
14 APPLIES TO THE PROJECT WE JUST REVIEWED? WE DIDN'T  
15 QUITE FINISH.

16 MR. HARRISON: I GUESS IT DEPENDS WHAT THE  
17 MOTION IS. THE DETERMINATION NOT TO FUND HAS BEEN  
18 MADE, SO THAT MOTION IS FINAL. IF IT'S A DIFFERENT  
19 TOPIC --

20 MS. WINOKUR: NO. IT RELATES TO THAT.

21 MR. HARRISON: WELL, UNLESS IT'S A MOTION  
22 TO RECONSIDER THE PRIOR VOTE, I GUESS I'M NOT SURE  
23 WHAT -- MAYBE YOU SHOULD EXPLAIN THE MOTION YOU'D  
24 LIKE TO MAKE.

25 MS. WINOKUR: LET ME MAKE THE MOTION AND

**BARRISTERS' REPORTING SERVICE**

1 THEN YOU CAN TELL ME.

2 MR. HARRISON: SURE. PERFECT.

3 MS. WINOKUR: I WOULD LIKE TO MOVE THAT WE  
4 GIVE PARTIAL FUNDING TO THE PROPOSAL JUST AS WE DID  
5 WITH THE PRIOR ONE, AND MAYBE FOR TWO YEARS, AND  
6 THAT WE SHOULD HAVE A MILESTONE THAT THE REGULATORY  
7 ASPECTS THAT WERE LACKING BE FULFILLED IN THAT TIME.

8 MR. SHEEHY: I HAVE TO SAY THAT THAT WOULD  
9 NOT BE IN ORDER AT THIS POINT UNFORTUNATELY. I  
10 THINK THAT IT PRESENTS A LEVEL OF COMPLEXITY.  
11 THAT'S REALLY A RECOMMENDATION. YOU'RE KIND OF  
12 REWRITING THE GRANT AT THIS POINT AFTER IT'S BEEN  
13 SCIENTIFICALLY REVIEWED. WE'VE TAKEN A VOTE HERE,  
14 AND SO IT WOULD TAKE BASICALLY A TWO-THIRDS MAJORITY  
15 OF THE PEOPLE WHO VOTED TO RECONSIDER THE ITEM. IF  
16 YOU WANTED TO MAKE A MOTION TO RECONSIDER THE ITEM,  
17 I WOULD ACCEPT THAT MOTION. I THINK JAMES WOULD  
18 AGREE THAT WOULD BE THE APPROPRIATE MOTION.

19 AND THEN YOU COULD INTRODUCE THAT MOTION  
20 IF YOU WANTED TO DO THAT. JAMES, AM I CORRECT IN  
21 THAT THAT WOULD BE THE APPROPRIATE PROCESS?

22 MR. HARRISON: YES. I'M JUST CHECKING  
23 ROBERT'S RULES OF ORDER ON THE THRESHOLD.

24 MR. SHEEHY: BECAUSE WE'VE ALREADY ACTED,  
25 SO IT TAKES A SUPER MAJORITY TO ACT AGAIN. SO DO

**BARRISTERS' REPORTING SERVICE**

1 YOU WANT -- JAMES, DO WE NEED A RULING, OR SHOULD  
2 I -- I ALWAYS UNDERSTOOD IT WAS A SUPER MAJORITY TO  
3 RECONSIDER.

4 MR. HARRISON: MAY I MAY A SUGGESTION,  
5 THAT YOU PROCEED ON TO THE NEXT APPLICATION WHILE WE  
6 CONFER AND VERIFY OUR FRIENDS, ROBERT'S RULES OF  
7 ORDER? THANK YOU.

8 MR. SHEEHY: PLEASE.

9 DR. STEFFEN: YOU'D LIKE THE STAFF  
10 RECOMMENDATION ON THE NEXT APPLICATION, WHICH IS  
11 6945. THE TITLE OF THE APPLICATION IS "A CLINICAL  
12 TRIAL OF STEM CELL GENE THERAPY FOR SICKLE CELL  
13 DISEASE." THE APPLICANT IS TARGETING SICKLE CELL  
14 DISEASE, AND THE APPROACH IS TO USE AUTOLOGOUS  
15 HEMATOPOIETIC STEM CELLS, SO BONE MARROW TRANSPLANT,  
16 AND THESE CELLS WILL BE GENETICALLY CORRECTED BY THE  
17 ADDITION OF A HEMOGLOBIN GENE THAT BLOCKS SICKLING.

18 AND THE APPLICANT IS TACKLING SICKLE CELL  
19 DISEASE, A DISEASE THAT DISPROPORTIONATELY AFFECTS  
20 AFRICAN-AMERICANS AND HISPANIC AMERICANS.

21 THE PROGRAMMATIC POINTS FOR OUR  
22 CONSIDERATION AND RECOMMENDATION TO FUND THIS  
23 APPLICATION IS THAT THIS PROJECT LEVERAGES A TEAM  
24 AND THE KNOW-HOW GAINED IN A DISEASE TEAM I PROJECT,  
25 WHICH IS A PRIORITY AREA FOR THIS RFA. THE TEAM AND



**BARRISTERS' REPORTING SERVICE**

1 THE PI, NOT ONLY ARE THEY RECOGNIZED AS LEADERS  
2 WITHIN CALIFORNIA, CERTAINLY WITHIN THE UNITED  
3 STATES AND THE WORLD. THIS INVESTIGATOR HAS  
4 DEDICATED HIS CAREER TO THIS DISEASE.

5 THE PROJECT IS AT THE MOST ADVANCED  
6 DEVELOPMENT STAGE OF THE PROJECTS IN THE CIRM  
7 PORTFOLIO TARGETING BLOOD DISEASES. AS THE DISEASE  
8 TEAM I WRAPS UP AND THIS GRANT WOULD START, WE WOULD  
9 SEE THE IND FILING AND THE START OF THE TRIAL IN THE  
10 FIRST HALF OF NEXT YEAR, 2014.

11 SICKLE CELL DISEASE HAS A HIGH UNMET  
12 MEDICAL NEED, AND THE APPROACH ALLOWS FOR A CHANCE  
13 TO DETECT THAT EARLY EVIDENCE OF BIOLOGIC ACTIVITY  
14 EARLY IN THE TRIAL IN SUPPORT OF CIRM'S KEY  
15 STRATEGIC GOAL TO DEMONSTRATE CLINICAL PROOF OF  
16 CONCEPT.

17 SO WHAT WE MEAN BY THAT IS THEY'RE  
18 REPLACING BLOOD WITH A NEW GENE SO THAT THE CELLS  
19 WON'T SICKLE. AND 120 DAYS AFTER THOSE PATIENTS,  
20 THOSE FIRST COHORT, GETS THAT TREATMENT, THERE WOULD  
21 BE A CHANCE TO SAMPLE AND UNDERSTAND WHETHER THERE'S  
22 BIOLOGIC ACTIVITY. THESE ARE SOME OF THE PRINCIPLES  
23 WE TALK ABOUT WITH EARLY PROOF OF CONCEPT.

24 MR. SHEEHY: THANK YOU, DR. STEFFEN. SO  
25 DO I HAVE A MOTION TO ACCEPT STAFF RECOMMENDATION?

**BARRISTERS' REPORTING SERVICE**

1 DR. PRIETO: SO MOVED.

2 MR. SHEEHY: DO I HAVE A SECOND?

3 MS. WINOKUR: SECOND.

4 MR. TORRES: SECOND.

5 MR. SHEEHY: MULTIPLE SECONDS. DO WE HAVE  
6 ANY BOARD DISCUSSION? CHAIRMAN THOMAS.

7 CHAIRMAN THOMAS: DR. STEFFEN, AS I  
8 UNDERSTAND IT, ANOTHER INTERESTING ASPECT OF THIS IS  
9 THAT IT DOES COMBINE STEM CELL AND GENE THERAPY  
10 TECHNOLOGIES. AND THAT IS SOMETHING THAT WE'RE  
11 INTERESTED IN ADVANCING AS SORT OF FROM A PLATFORM  
12 TECHNOLOGICAL POINT OF VIEW, AND WE CAN LEARN THINGS  
13 FROM THAT THAT COULD BE APPLICABLE IN THAT JOINING  
14 IN OTHER AREAS; IS THAT CORRECT?

15 DR. STEFFEN: CORRECT. THIS IS A GENE  
16 MODIFIED-STEM CELL THERAPY. AND MANY OF THE  
17 TECHNIQUES AND APPROACHES AND SAFETY DEMONSTRATION  
18 ARE APPLICABLE BROADLY ACROSS CELL TYPES AND OTHER  
19 INDICATIONS. SO WE WILL LEARN A LOT FROM THIS.

20 DR. STEWARD: I MAY HAVE HAD A TRANSIENT  
21 ISCHEMIC ATTACK, BUT DID YOU SAY ANYTHING ABOUT  
22 PORTFOLIO?

23 DR. STEFFEN: I DID SAY THIS IS THE MOST  
24 ADVANCED PROJECT IN THE BLOOD DISEASES, AND I CAN  
25 COUNT UP PROJECTS IF YOU NEED ME TO.

**BARRISTERS' REPORTING SERVICE**

1 DR. STEWARD: ACTUALLY IT WOULD BE HELPFUL  
2 TO KNOW THAT. THANKS.

3 MR. SHEEHY: I THINK SPECIFICALLY FOR  
4 SICKLE WE HAVE ONE OTHER.

5 DR. FEIGAL: THAT'S CORRECT.

6 MR. SHEEHY: ONE OTHER IN SICKLE CELL, AND  
7 THEN WE HAVE ONE OTHER IN BETA THALASSEMIA.

8 DR. FEIGAL: BUT IN SICKLE CELL THERE'S  
9 REALLY JUST ONE OTHER PROGRAM.

10 MR. SHEEHY: THERE'S JUST ONE OTHER. I  
11 THINK BLOOD DISORDER IS A LITTLE BIT BROAD TO LOOK  
12 AT AS PORTFOLIO. I THINK IF YOU WANT TO REALLY --  
13 THE TWIN DISEASES ARE BETA THALASSEMIA AND SICKLE  
14 CELL, I THINK, THAT PEOPLE TYPICALLY USE TO MAKE A  
15 PORTFOLIO ANALYSIS.

16 DR. STEWARD: I AGREE. AND IF THE ANSWER  
17 IS ONE, THAT'S ACTUALLY FINE.

18 MR. SHEEHY: DO WE HAVE ADDITIONAL BOARD  
19 DISCUSSION?

20 DR. JUELSGAARD: MR. SHEEHY, WELL,  
21 CONSISTENT WITH THE OTHERS THAT WE'VE HAD TODAY, CAN  
22 WE HAVE A REVIEW OF WHAT CDAP THOUGHT ABOUT THIS  
23 PROPOSAL?

24 DR. FEIGAL: ACTUALLY WHAT I SUGGEST IS WE  
25 HAVE A SCIENCE OFFICER WHO CAN GIVE YOU AN

## BARRISTERS' REPORTING SERVICE

1 ASSESSMENT OF WHAT THE GRANTS WORKING GROUP THOUGHT  
2 ABOUT IT. IS THAT WHAT YOU ARE ASKING, CONSISTENT  
3 WITH THE OTHER PROJECTS?

4 DR. JUELSGAARD: YES. AT THE MEETING IN  
5 OAKLAND AT THE CLAREMONT WHERE THIS WAS DISCUSSED.

6 DR. FEIGAL: THE GRANTS WORKING GROUP.

7 DR. JUELSGAARD: SO THAT WASN'T CDAP.

8 DR. FEIGAL: WELL, I'M JUST SAYING IF YOU  
9 WANT TO ALIGN IT WITH WHAT WE'VE DONE WITH OTHER  
10 PROJECTS, WE'VE BEEN GIVING YOU SUMMARIES FROM THE  
11 GRANTS WORKING GROUP AS OPPOSED TO THIS SEPARATE  
12 ASSESSMENT GROUP. SO THAT'S HOW I'M RESPONDING.  
13 BUT IF YOU'RE ASKING FOR SOMETHING ELSE.

14 DR. JUELSGAARD: I'M JUST CONFUSED, THEN,  
15 ON WHAT WE'RE TALKING ABOUT.

16 DR. FEIGAL: WELL, THE GRANTS WORKING  
17 GROUP IS A GROUP THAT REVIEWED ALL OF THESE  
18 PROPOSALS. IN ADDITION, FOR THE DISEASE TEAM  
19 PROJECTS, WE HAVE A SEPARATE PROCESS CALLED CDAP  
20 THAT YOU'RE FAMILIAR WITH. AND SO ARE YOU ASKING  
21 FOR BOTH? YOU WANT TO HEAR WHAT'S THE GRANTS  
22 WORKING GROUP THOUGHT AS WELL AS CDAP?

23 DR. JUELSGAARD: WELL, JUST SO I'M CLEAR.  
24 SO DOES CDAP DISCUSSIONS FROM LAST SUMMER, WHENEVER  
25 IT WAS, WHATEVER MONTH THAT WAS, ARE THEY INCLUDED

**BARRISTERS' REPORTING SERVICE**

1 IN THE COMMENTS THAT WERE DISTRIBUTED TO US OR NOT?

2 DR. FEIGAL: THE COMMENTS THAT WERE  
3 DISTRIBUTED AS PART OF THE SUMMARY ARE REFLECTIVE OF  
4 THE GRANTS WORKING GROUP RECOMMENDATIONS.

5 LET ME HAVE THE LAWYER DISCUSS IT BECAUSE  
6 I MAY BE MISSING SOMETHING.

7 MR. SWEEDLER: IT GETS CONFUSING BECAUSE  
8 THIS PROJECT IS TWO SEPARATE GRANTS. THE ORIGINAL  
9 DISEASE TEAM, AS AN EXISTING AWARD, WAS REVIEWED ON  
10 AN ONGOING BASIS WITH CDAP. THAT'S WHAT THEY DO.  
11 THEY REVIEW OUR FUNDED AWARDS. AS A FORWARD-LOOKING  
12 PROPOSAL FOR THE NEW ONE, THAT WENT TO THE GRANTS  
13 WORKING GROUP. SO THE SCIENCE IS CONTINUOUS, BUT  
14 IT'S A SEPARATE PROCEEDING IN A WAY. IT'S A GRANTS  
15 WORKING GROUP PROCEEDING.

16 MR. SHEEHY: DO YOU WANT TO HEAR THE  
17 GRANTS WORKING GROUP REVIEW?

18 DR. JUELSGAARD: YES.

19 DR. STEFFEN: SO DR. SOHIL TALIB, THE  
20 SCIENCE OFFICER ON THE PROJECT, IS GOING TO DO THAT.

21 DR. TALIB: THANK YOU, DR. STEFFEN. MY  
22 NAME IS SOHIL TALIB, AND I'M THE SCIENCE OFFICER.  
23 AND I'LL JUST GIVE YOU A BRIEF SUMMARY OF THE  
24 PROJECT WHICH WAS REVIEWED BY THE GRANTS WORKING  
25 GROUP. AND AS DR. STEFFEN POINTED OUT, THIS IS

**BARRISTERS' REPORTING SERVICE**

1 ACTUALLY A STEM CELL GENE THERAPY PROJECT IN WHICH  
2 THE GOAL OF THE PROJECT IS TO INITIATE AND COMPLETE  
3 A PHASE I CLINICAL TRIAL. THIS HAS BEEN A DISEASE  
4 TEAM I GRANT. SO THIS HAS COMPLETED ALMOST FOUR  
5 YEARS OF THE GRANT WHILE ALL THE MILESTONES HAVE  
6 BEEN MET. AND THAT DISEASE TEAM HAS ALREADY  
7 COMPLETED A REVIEW BY THE PRE-IND, AS WELL AS BY THE  
8 RECOMBINANT ADVISORY COMMITTEE BECAUSE IT'S A STEM  
9 CELL GENE THERAPY PROJECT, AND ALSO HAD COMPLETED A  
10 REVIEW BY THE INSTITUTION IRB.

11 SO THIS TEAM HAS COMPLETED ALL THE  
12 MILESTONES SO FAR AND IS READY TO INITIATE -- SUBMIT  
13 AN IND IN Q1 OF 2014 AND THEN START A PHASE I  
14 CLINICAL TRIAL.

15 SO IN TERMS OF THE STRENGTH AND THE  
16 WEAKNESSES, LET ME POINT OUT, WHICH WAS POINTED OUT  
17 DURING THE GRANTS WORKING GROUP. SO IN TERMS OF THE  
18 STRENGTH, THIS IS AN UNMET MEDICAL NEED. IF  
19 SUCCESSFUL, IT WILL HAVE A SIGNIFICANT IMPACT ON  
20 THIS PARTICULAR DISEASE WHICH DISPROPORTIONATELY  
21 AFFECT THE MINORITY POPULATIONS IN CALIFORNIA. AND  
22 ALSO AT THE MOMENT THERE IS NO CURATIVE TREATMENT  
23 FOR THIS DISEASE. WORLDWIDE THERE ARE MILLIONS OF  
24 PEOPLE WHICH ARE AFFECTED BY THIS DISEASE.

25 AND THE ONLY CURATIVE TREATMENT FOR THIS

## BARRISTERS' REPORTING SERVICE

1 DISEASE IS AN ALLOGENEIC BONE MARROW TRANSPLANT, BUT  
2 THAT CANNOT BE PERFORMED BECAUSE OF THE  
3 IMMUNOLOGICAL REQUIREMENT WHICH IS NEEDED. SO ONLY  
4 20 TO 30 PERCENT OF THE PATIENTS WHICH COULD BENEFIT  
5 FROM THIS CURATIVE TREATMENT ARE NOT TREATED BECAUSE  
6 OF THE IMMUNOLOGICAL COMPLICATIONS.

7 WHAT THIS PARTICULAR TEAM IS DOING IS TO  
8 MAKE AN AUTOLOGOUS BONE MARROW TRANSPLANT, TAKING  
9 PATIENT'S OWN BLOOD FORMING STEM CELLS, GENE MODIFY  
10 THEM, AND GIVE IT BACK TO THE PATIENT. SO IN A WAY  
11 THIS WILL BE APPLICABLE TO LARGE NUMBER OF  
12 POPULATIONS.

13 THE STRENGTH OF THIS PARTICULAR TEAM, AS  
14 WAS POINTED OUT BY THE GRANTS WORKING GROUP, IS THE  
15 SCIENTIFIC RATIONALE FOR STEM CELL GENE THERAPY.  
16 CURRENTLY THERE HAVE BEEN COUPLE OF STEM CELL GENE  
17 THERAPY TRIALS WHICH ARE GOING ON IN EUROPE AND  
18 WHICH ARE SHOWING A CLINICAL BENEFIT AND SHOWS THAT  
19 IT'S SCIENTIFICALLY POSSIBLE THAT YOU COULD MODIFY  
20 THE STEM CELL WITH A GENE AND THEN GIVE IT TO THE  
21 PATIENTS. SO THE CLINICAL PROOF OF CONCEPT IS VALID  
22 AND IS APPRECIATED BY THE GRANTS WORKING GROUP.

23 AS DR. STEFFEN POINTED OUT, THE PI OF THE  
24 TEAM IS VERY WELL ESTABLISHED. THEY HAVE DONE  
25 CLINICAL TRIALS, EXTENSIVE GENE THERAPY TRIALS

**BARRISTERS' REPORTING SERVICE**

1 PREVIOUSLY FOR OTHER DISEASES.

2 AND AS I POINTED OUT, THIS TEAM HAS  
3 ALREADY COMPLETED THE PRE-IND RECOMBINANT ADVISORY  
4 COMMITTEE MEETINGS AS WELL AS INSTITUTIONAL IRB'S.

5 NOW, IN TERMS OF THE WEAKNESS, WHICH WAS  
6 THE REASON THIS PARTICULAR TEAM -- THIS PARTICULAR  
7 APPLICATION WAS NOT PUT IN THE TIER I WAS THERE WAS  
8 SOME CONCERN ABOUT THE TIMING OF THE FILING OF THE  
9 IND. ORIGINALLY, WHEN THIS PARTICULAR APPLICATION  
10 WAS SUBMITTED TO THE DISEASE TEAM III, THE TEAM HAS  
11 PROPOSED THAT THEY WILL FILE AN IND AT THE END OF Q4  
12 OF 2013 BEFORE SOME OF THE ONGOING TREATMENT STUDIES  
13 WILL BE COMPLETED. AND THE GRANTS WORKING GROUP  
14 THOUGHT THAT THIS WOULD BE A WEAKNESS. THIS MAY BE  
15 RISKY TO SUBMIT AN IND BEFORE THE RESULTS OF THE  
16 ONGOING PRECLINICAL STUDIES ARE COMPLETELY  
17 AVAILABLE.

18 SINCE THEN, SINCE THE DISEASE TEAM I, AND  
19 WE ARE IN CONTACT WITH THE DISEASE TEAM, THEY HAVE  
20 REVISED THEIR MILESTONES. AND THEY ARE ACTUALLY  
21 SUBMITTING IND IN Q1 OF 2014 WHEN ALL THE ONGOING  
22 PRECLINICAL STUDIES WILL BE COMPLETED. SO THEY WILL  
23 BE COMPLETELY READY TO FILE THE IND AND START A  
24 CLINICAL TRIAL. SO THAT PARTICULAR WEAKNESS HAS  
25 ALREADY BEEN ADDRESSED.



**BARRISTERS' REPORTING SERVICE**

1           ALSO THERE WAS SOME CONCERN ABOUT THE  
2           MANUFACTURING ASPECT OF THIS PARTICULAR PRODUCT.  
3           AND THAT ALSO HAS BEEN ADDRESSED BY THE DISEASE  
4           TEAM. THEY HAVE OPTIMIZED THE MANUFACTURING PROCESS  
5           SUCH THAT THEY WILL BE ABLE TO PRODUCE A PRODUCT  
6           WHICH WILL HAVE A STRENGTH OR THE POTENTIAL TO MAKE  
7           A DIFFERENCE IN A CLINICAL TRIAL.

8           SO BOTH OF THE CONCERNS WHICH THE GRANTS  
9           WORKING GROUP HAD HAS ALREADY BEEN ADDRESSED BY THE  
10          DISEASE TEAM.

11          I THINK FROM OUR POINT OF VIEW THIS  
12          DISEASE TEAM IS READY TO START THE CLINICAL TRIAL.  
13          AND AS DR. STEFFEN POINTED OUT, THIS IS THE MOST  
14          ADVANCED TEAM TO GET THIS PARTICULAR APPLICATION OR  
15          THIS TYPE OF TREATMENT IN CALIFORNIA FOR THE  
16          PATIENTS WITH BOTH SICKLE CELL DISEASE.

17          IN TERMS OF THE PORTFOLIO, I THINK THERE  
18          WAS QUESTION. CURRENTLY WE HAVE IN THE SICKLE CELL  
19          DISEASE WE HAVE ONLY ONE EARLY TRANSLATION GRANT  
20          WHICH, IN FACT, IS WITH THE SAME DISEASE TEAM WHICH  
21          ARE USING, IT'S EARLY TRANSLATION, WHICH WILL BE  
22          STARTING ACTUALLY THIS YEAR. SO THEY ARE REALLY  
23          BEHIND. IN FACT, THEIR APPROACH IS VERY DIFFERENT.  
24          IT'S A GEN TWO APPROACH TO DO A DIFFERENT APPROACH  
25          FOR SICKLE CELL DISEASE.

## BARRISTERS' REPORTING SERVICE

1 WE ALSO HAVE A DISEASE TEAM WHICH IS  
2 LOOKING AT BETA THALASSEMIA. IT'S HEMOGLOBIN. IT'S  
3 THE SAME KIND OF DISEASE, AND THAT DISEASE TEAM IS  
4 ALSO JUST STARTING. SO THEY ARE REALLY BEHIND IN  
5 TERMS OF COMPLETING WITH THIS TYPE OF APPROACH.

6 SO THIS IS PROBABLY THE MOST ADVANCED  
7 DISEASE TEAM TO GET THIS PARTICULAR TREATMENT TO THE  
8 PATIENTS.

9 MR. SHEEHY: ANY OTHER QUESTIONS OR  
10 COMMENTS FROM THE BOARD? THANK YOU, DR. TALIB. ANY  
11 PUBLIC COMMENT? ARE WE READY TO CALL THE ROLL? THE  
12 MOTION IS TO ACCEPT STAFF'S RECOMMENDATION THAT THIS  
13 BE MOVED INTO THE TIER I.

14 DR. DULIEGE: I SECOND.

15 MR. SHEEHY: WE ALREADY HAD THE MOTION.  
16 I'M JUST REPEATING THE MOTION.

17 MR. HARRISON: AND THIS IS APPLICATION  
18 6945.

19 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

20 DR. DULIEGE: YES.

21 MS. BONNEVILLE: MICHAEL GOLDBERG.

22 MR. GOLDBERG: YES.

23 MS. BONNEVILLE: STEPHEN JUELSGAARD.

24 DR. JUELSGAARD: NO.

25 MS. BONNEVILLE: FRANCISCO PRIETO.

**BARRISTERS' REPORTING SERVICE**

1 DR. PRIETO: AYE.  
2 MS. BONNEVILLE: ROBERT QUINT. AL  
3 ROWLETT.  
4 MR. ROWLETT: YES.  
5 MS. BONNEVILLE: JEFF SHEEHY.  
6 MR. SHEEHY: YES.  
7 MS. BONNEVILLE: OS STEWARD.  
8 DR. STEWARD: YES.  
9 MS. BONNEVILLE: JONATHAN THOMAS.  
10 CHAIRMAN THOMAS: YES.  
11 MS. BONNEVILLE: ART TORRES.  
12 MR. TORRES: AYE.  
13 MS. BONNEVILLE: DIANE WINOKUR.  
14 MS. WINOKUR: YES.  
15 MR. SHEEHY: I THINK THE MOTION CARRIES.  
16 DO WE NEED TO WAIT FOR DR. QUINT TO CAST A VOTE?  
17 MR. HARRISON: THE MOTION CARRIES.  
18 MR. SHEEHY: NOW, TO GO BACK TO MS.  
19 WINOKUR'S QUESTION -- DR. QUINT, WOULD YOU LIKE TO  
20 CAST A VOTE ON THE PREVIOUS MOTION TO APPROVE THIS  
21 APPLICATION FOR STEM CELL THERAPY?  
22 DR. QUINT: I THINK I BETTER ABSTAIN.  
23 MR. SHEEHY: DR. QUINT IS ABSTENTION.  
24 MS. WINOKUR HAD A QUESTION WHETHER OR  
25 NOT -- SHE WISHED TO MAKE ANOTHER MOTION -- DID YOU

**BARRISTERS' REPORTING SERVICE**

1 WANT TO MAKE A PUBLIC COMMENT?

2 MS. SHAPIRO: I'D LIKE TO MAKE A PUBLIC  
3 COMMENT.

4 MR. SHEEHY: PLEASE BE MY GUEST, AND  
5 PLEASE IDENTIFY YOURSELF. ARE YOU SPEAKING ABOUT  
6 THE PREVIOUS APPLICATION?

7 MS. SHAPIRO: YES.

8 MR. SHEEHY: JUST TO LET YOU KNOW, THAT  
9 HAS BEEN RECOMMENDED FOR FUNDING.

10 MS. SHAPIRO: OH, YES. BELIEVE ME.  
11 THAT'S WHY I'M STANDING HERE.

12 MY NAME IS ADRIENNE SHAPIRO. I STAND  
13 BEFORE YOU. I AM A FOURTH GENERATION OF MOTHERS --  
14 I THOUGHT I WAS THE THIRD, BUT ACTUALLY I'M THE  
15 FOURTH MOTHER TO HAVE A FIRST CHILD BORN WITH SICKLE  
16 DISEASE. AND SO FAR, I'M THE ONLY ONE THAT HASN'T  
17 BURIED THAT CHILD. AND I HAVE BEEN THROWING MYSELF  
18 OVER THAT CHILD'S BODY FOR MANY YEARS KEEPING HER  
19 SAFE AND ALIVE AND WAITING FOR THIS MOMENT. AND I  
20 JUST WANT TO THANK YOU.

21 THE SIGNIFICANCE OF THIS RESEARCH AT THIS  
22 TIME, I MADE A LIST OF WHAT MY LIFE WOULD HAVE BEEN  
23 LIKE IF SICKLE CELL RESEARCH HADN'T BEEN STOPPED IN  
24 THE '80S. AND I MADE A LIST OF ALL THOSE THINGS,  
25 AND I WAS ALL PREPARED TO COME AND TELL YOU THAT IF

## BARRISTERS' REPORTING SERVICE

1 YOU DIDN'T DO THIS, ALL THE MOTHERS WHO WOULD HAVE  
2 TO GO THROUGH WHAT I DID. AND I'M SO THANKFUL THAT  
3 I DON'T. AND I WANTED TO SAY TO YOU THANK YOU. AND  
4 FOR THOSE MOTHERS WHO ARE NOW ANGELS AND WITH THOSE  
5 FIRST-BORN CHILDREN WHO WERE BEAUTIFUL AND BRIGHT  
6 AND BOLD AND LEFT TOO SOON, I WANT TO SAY THANK YOU.  
7 AND TO THE STEM CELL COMMUNITY, NOT ONLY MY CHILD,  
8 BUT SO MANY OTHERS, WE THANK YOU.

9 MR. SHEEHY: THANK YOU. AND, YOU KNOW, I  
10 DO THINK THIS IS AN IMPORTANT GRANT. I REALLY WANT  
11 TO GIVE A LOT OF CREDIT TO DR. KOHN FOR HIS  
12 PERSISTENCE. I MEAN THAT'S BEEN EVIDENT IN ALL THE  
13 REVIEWS. THIS IS A GRANT THAT WE FIRST APPROVED,  
14 WHAT, BACK IN 2009, AND I HOPE WE CAN MAKE A  
15 DIFFERENCE HERE.

16 DR. KOHN: I JUST WANT TO SAY THANK YOU  
17 FOR THE SUPPORT. THE STAFF HAS REALLY HELPED US GET  
18 TO WHERE WE DID. SO THE STAFF FROM CIRM, AS  
19 PERSISTENT AS THEY ARE, HAVE BEEN VERY HELPFUL IN  
20 GETTING THE PROJECT MOVING FORWARD.

21 CHAIRMAN THOMAS: DON, YOU MIGHT IDENTIFY  
22 YOURSELF FOR THOSE WHO DON'T KNOW YOU.

23 DR. KOHN: I'M DONALD KOHN FROM UCLA, THE  
24 PI OF THE GRANT FOR SICKLE CELL.

25 (APPLAUSE.)

**BARRISTERS' REPORTING SERVICE**

1 MR. SHEEHY: MS. WINOKUR WOULD LIKE TO  
2 MAKE A NEW MOTION RELATIVE TO THE RETINITIS  
3 PIGMENTOSA GRANT. IN ORDER TO DO THAT, WE NEED A  
4 MOTION TO RECONSIDER. A MOTION TO RECONSIDER CAN  
5 ONLY BE MADE BY ONE WHO IS ON THE OPPOSING SIDE OF  
6 MS. WINOKUR'S MOTION.

7 DR. QUINT: I'LL MAKE THE MOTION TO  
8 RECONSIDER.

9 MR. SHEEHY: YOU'D LIKE TO MAKE A MOTION  
10 TO RECONSIDER? DO WE NEED A SECOND ON THAT?

11 MR. ROWLETT: I'LL SECOND THE MOTION TO  
12 RECONSIDER.

13 MR. HARRISON: I'M SORRY. MR. QUINT IS  
14 NOT ELIGIBLE TO MAKE THE MOTION.

15 MR. ROWLETT: I'LL MAKE THE MOTION TO  
16 RECONSIDER.

17 MR. SHEEHY: DO WE HAVE A SECOND FOR THAT  
18 MOTION?

19 CHAIRMAN THOMAS: I'LL SECOND.

20 MR. SHEEHY: OKAY. CAN WE HAVE A VOTE ON  
21 THE MOTION TO RECONSIDER?

22 MS. BONNEVILLE: MARCY FEIT. MICHAEL  
23 GOLDBERG.

24 MR. GOLDBERG: YES.

25 MS. BONNEVILLE: STEPHEN JUELSGAARD.

**BARRISTERS' REPORTING SERVICE**

1 DR. JUELSGAARD: NO.  
2 MS. BONNEVILLE: FRANCISCO PRIETO.  
3 DR. PRIETO: AYE.  
4 MS. BONNEVILLE: ROBERT QUINT.  
5 DR. QUINT: YES.  
6 MS. BONNEVILLE: AL ROWLETT.  
7 MR. ROWLETT: YES.  
8 MS. BONNEVILLE: JEFF SHEEHY.  
9 MR. SHEEHY: NO.  
10 MS. BONNEVILLE: OS STEWARD.  
11 DR. STEWARD: YES.  
12 MS. BONNEVILLE: JONATHAN THOMAS.  
13 CHAIRMAN THOMAS: YES. I'D LIKE TO HEAR  
14 WHAT THE MOTION IS.  
15 MS. BONNEVILLE: ART TORRES.  
16 MR. TORRES: AYE.  
17 MS. BONNEVILLE: DIANE WINOKUR.  
18 MS. WINOKUR: YES.  
19 MR. HARRISON: SO THE MOTION TO RECONSIDER  
20 CARRIES.  
21 MR. SHEEHY: MS. WINOKUR, YOU ARE FREE TO  
22 MAKE YOUR MOTION.  
23 MS. WINOKUR: I WOULD LIKE TO MOVE THAT WE  
24 RECONSIDER OUR DECISION ON PROPOSAL 7061 AND GRANT  
25 PARTIAL FUNDING TO THE PROPOSAL JUST AS WE DID WITH

**BARRISTERS' REPORTING SERVICE**

1 THE 7281 THAT WE VOTED ON JUST BEFORE THIS. AND IN  
2 THAT TIME FRAME, SAY, TWO YEARS, THAT THE PI, ETC.,  
3 WORK ON SATISFYING THE REGULATORY QUESTIONS THAT  
4 WERE RAISED.

5 MR. SHEEHY: SO YOUR MOTION IS TO FUND THE  
6 FIRST TWO YEARS OF THE AWARD, CUTTING THE AWARD IN  
7 HALF?

8 MS. WINOKUR: NO. NOT ONLY THAT, BUT THAT  
9 THAT BE DONE.

10 MR. SHEEHY: DO WE HAVE A SECOND?

11 DR. QUINT: I'LL SECOND THE MOTION.

12 DR. STEWARD: SO I'M IN GENERAL IN FAVOR  
13 OF THE CONCEPT OF FLEXIBILITY. I'M A LITTLE  
14 CONCERNED ABOUT THE SPECIFICS HERE OF JUST SAYING  
15 CUT IT IN HALF AND GO FOR TWO YEARS. I'D BE VERY  
16 CURIOUS TO HEAR SCIENCE STAFF COMMENTS AND  
17 RECOMMENDATIONS IN THAT REGARD.

18 MR. ROWLETT: I'D LIKE TO DITTO THAT. IN  
19 ADDITION, IF YOU COULD TELL US IF YOU CONSIDERED  
20 THAT IN MAKING YOUR RECOMMENDATION INITIALLY NOT TO  
21 FUND. IT WOULD BE VERY HELPFUL.

22 DR. JUELSGAARD: ONE MORE QUESTION. SO IN  
23 THIS CUTTING IT IN HALF, CONSISTENT WITH THAT, IS  
24 THAT CUTTING THE DOLLARS IN HALF?

25 MR. SHEEHY: YES.



**BARRISTERS' REPORTING SERVICE**

1 DR. JUELSGAARD: SO THE QUESTION IS IS  
2 WHEN THE SPEND WAS LAID OUT, THE BUDGET THAT WAS  
3 PROPOSED, WAS THE SPEND LAID OUT IN AN EVENHANDED  
4 YEAR-BY-YEAR FASHION, OR DID IT RAMP UP AS USUALLY  
5 IS THE CASE OVER TIME?

6 MR. SHEEHY: I THINK MAYBE WE SHOULD HEAR  
7 FROM STAFF. I DON'T KNOW WHO WOULD BE -- DR. FEIGAL  
8 WOULD BE APPROPRIATE TO KIND OF ADDRESS THE WISDOM  
9 AND FEASIBILITY OF THIS PROPOSAL.

10 DR. FEIGAL: I THINK IF WHAT YOU REALLY  
11 MEANT TO SAY IS YOU WANT US TO FUND TO AN  
12 IND-ENABLING STAGE, IF THAT'S WHAT YOU MEANT TO SAY,  
13 THAT'S A DIFFERENT ISSUE THAN THE REGULATORY BECAUSE  
14 THERE'S SCIENTIFIC -- THERE WERE CLINICAL TRIAL  
15 DESIGN AND, IN ADDITION, THERE WERE REGULATORY  
16 ISSUES. SO IF THAT'S WHAT YOU MEANT TO SAY, THAT'S  
17 MORE UNDERSTANDABLE THAN JUST -- THE REGULATORY  
18 ISSUE MIGHT BE VERY LIMITED FUNDING TO ADDRESS THAT  
19 ISSUE. SO I DON'T KNOW WHAT YOU MEANT.

20 SO, ANYWAY, THAT'S A CLARIFICATION I  
21 DEFINITELY WOULD NEED. AND IF THAT IS SOMETHING YOU  
22 MEANT, THEN THAT WOULD TAKE QUITE A BIT OF LOOKING  
23 INTO THE APPLICATION TO FIGURE OUT THE BUDGET  
24 AMOUNT.

25 MR. SHEEHY: CAN I ASK THE MAKER OF THE

**BARRISTERS' REPORTING SERVICE**

1 MOTION A QUESTION? ARE YOU RECOMMENDING THIS  
2 FUNDING BECAUSE YOU BELIEVE IT MIGHT -- CAN I ASK  
3 YOU A QUESTION ABOUT YOUR MOTION?

4 MS. WINOKUR: OKAY.

5 MR. SHEEHY: DO YOU THINK THAT FUNDING  
6 THIS MOTION WILL HAVE SOME IMPACT ON ALS, OR IS THIS  
7 JUST --

8 MS. WINOKUR: I THINK THIS PROJECT WILL.

9 MR. SHEEHY: COULD DR. SVENDSEN PLEASE  
10 EXPLAIN THE RELATIONSHIP OF THIS PROJECT TO ALS?

11 DR. FEIGAL: AND WE ARE ALSO FUNDING A  
12 PROJECT IN ALS WITH THESE CELLS, AS YOU KNOW.

13 DR. SVENDSEN: I THINK THERE IS A  
14 RELATIONSHIP BETWEEN THIS PROCESS. THE CELLS --

15 MR. SHEEHY: I MEAN COULD YOU REALLY GIVE  
16 SOME GRANULARITY IN THAT HOW, IF WE FUND THIS ON TOP  
17 OF THE PROJECT WE'RE ALREADY FUNDING YOU IN ALS,  
18 THAT WILL MAKE A DIFFERENCE IN ALS?

19 DR. SVENDSEN: ANY CELLS THAT GO INTO THE  
20 CLINIC USING THIS TECHNIQUE OF TRANSPLANTATION INTO  
21 THE EYE OR INTO THE SPINAL CORD IS GOING TO BE  
22 SYNERGISTIC. AND THE PROCESSES THAT WE USE IN BOTH  
23 CASES WILL BENEFIT EACH OTHER. SO THERE'S A  
24 RELATIONSHIP BETWEEN THESE CELLS WHETHER THEY GO  
25 INTO ALS PATIENTS OR THE EYE.

**BARRISTERS' REPORTING SERVICE**

1 THE REAL QUESTION IS STILL RELATED TO THE  
2 CELLS THAT WE'RE PRODUCING AND THEIR EFFICACY IN  
3 MODELS THAT WE'VE SHOWN EFFICACY IN SPILLING OUT  
4 BETWEEN THE TWO DISEASE. SO, YEAH, THERE IS A  
5 RELATIONSHIP, AND THERE IS A BENEFIT TO THE ALS  
6 PROJECT. IF WE HAVE THIS GOING ALONG BESIDE IT,  
7 WE'LL INCREASE THE SAFETY PROFILE OF THE CELLS IN  
8 THE DISORDER FROM OUR PRECLINICAL STUDIES, AND WE'LL  
9 LEARN A LOT MORE ABOUT THE PROCESS OF TAKING THESE  
10 CELLS THROUGH THE REGULATORY SYSTEM. WE'RE NOT  
11 THERE YET IN ALS. WE'RE IN THE MIDDLE OF IT.

12 MR. SHEEHY: SO IF WE FUND YOU FOR THOSE,  
13 ARE YOU WILLING TO REDUCE YOUR ALS BUDGET TO CAPTURE  
14 THOSE SYNERGIES?

15 DR. SVENDSEN: LOOK, WHEN I FIRST PUT IN A  
16 DISEASE TEAM GRANT THREE YEARS AGO, I SAID WE SHOULD  
17 BE DOING THREE DISEASES. WE SHOULD BE DOING STROKE,  
18 ALS, AND RETINITIS PIGMENTOSA. CIRM REGULATIONS  
19 WERE WE HAD TO DO ONE DISEASE PER DISEASE TEAM. I  
20 REALLY FEEL THERE'S AN ENORMOUS SYNERGY IN EACH  
21 DISEASE TEAM GRANT TO HELP OTHER DISEASES. THEY'RE  
22 NOT INDEPENDENT. SO, YES, I WOULD BE WILLING TO  
23 TAKE A SLIGHT REDUCTION IN THE ALS FUNDING TO GET  
24 THE SYNERGY GOING AND HAVE THE TWO GRANTS RUNNING  
25 BESIDE EACH OTHER.

## BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: I LEAVE THIS TO STAFF TO  
2 PROVIDE SOME SORT OF FEEDBACK. AND THEN, OF COURSE,  
3 EACH MEMBER WILL HAVE TO MAKE THEIR OWN DECISION ON  
4 HOW THEY FEEL ABOUT MOVING THIS FORWARD.

5 DR. FEIGAL: CAN I MAKE A COMMENT?

6 DR. TROUNSON: WELL, I'VE BEEN LISTENING  
7 THROUGH ALL OF THIS. I THINK YOU COULD MAKE THESE  
8 ARGUMENTS FOR A LOT OF CASES, TO BE HONEST. I THINK  
9 YOU NEED TO BE CAREFUL THAT WE ARE SORT OF STICKING  
10 WITH THE PROJECT. IT IS REALLY ABOUT A CORRECTION  
11 OF BLINDNESS, AND IT'S NOT ABOUT ALS. AND THE  
12 PROBABILITIES THAT THIS WILL IMPACT ALS, YOU  
13 COULDN'T REALLY COMPUTE THAT, TO BE HONEST.

14 SO IT SHOULD BE REALLY ABOUT THE EYE  
15 PROJECT AND HOW THESE CELLS MIGHT HELP THE EYE  
16 PROJECT. AND I THINK YOU'VE HEARD THE EVIDENCE. IT  
17 JUST SEEMS THAT IF YOU SPREAD THE ARGUMENT TOO FAR  
18 OUT, IT MAKES A CASE FOR THESE ARGUMENTS TO GO ON  
19 FOR A LOT OF OTHER CONDITIONS. AND I'D BE A  
20 LITTLE -- I WOULD BE VERY CONCERNED ACTUALLY ABOUT  
21 THAT BEING SOMETHING THAT WE WOULD HAVE TO CONSIDER  
22 IN THE FUTURE.

23 MR. SHEEHY: DR. FEIGAL.

24 DR. FEIGAL: MS. WINOKUR, AS YOU MENTIONED  
25 EARLIER, WILL WE WORK WITH THE TEAM, I'VE ALREADY AT

**BARRISTERS' REPORTING SERVICE**

1 THIS CONFERENCE CONTACTED THE PI, DR. KENT SMALL.  
2 HE HAS A WAY TO GET BACK. WE'RE GOING TO ARRANGE A  
3 FOLLOW-UP NEXT WEEK TO TALK ABOUT THE ISSUES FROM  
4 THIS REVIEW THAT HE COULD POTENTIALLY ADDRESS,  
5 INCLUDING THE SCIENTIFIC, THE CLINICAL TRIAL DESIGN,  
6 IN ADDITION TO THE REGULATORY. AND WE'RE VERY  
7 RECEPTIVE TO WORKING WITH THEM TO SEE IF WE CAN  
8 ADDRESS THOSE ISSUES SO THAT SUBSEQUENTLY HE CAN  
9 REVISE IT, ADDRESS THE ISSUES. AND WE WILL HAVE  
10 ANOTHER WINDOW TO COME BACK IN.

11 SO I JUST WANT TO LET YOU KNOW THAT, THAT  
12 WE ARE IMMEDIATELY FOLLOWING UP WITH THE TEAM IN  
13 RESPONSE TO THE ISSUE THAT YOU RAISED.

14 MS. WINOKUR: AND WILL YOU TAKE INTO  
15 ACCOUNT THE POSSIBILITY OF THERE BEING SOME HELP FOR  
16 ALS AS A RESULT OF DEVELOPING THE NEURAL STEM CELL  
17 TRANSFER?

18 DR. FEIGAL: THAT'S A MORE COMPLICATED  
19 QUESTION. WE DO HAVE A FULL PROJECT WITH HIM ON ALS  
20 WHERE IT'S GENE MODIFIED, AND YOU'RE VERY FAMILIAR.  
21 BUT CERTAINLY WE CAN HAVE A DISCUSSION ABOUT HOW  
22 THIS COULD HELP ALS. OKAY.

23 MS. WINOKUR: THEN SHALL I WITHDRAW THE  
24 MOTION?

25 MR. SHEEHY: YOU WOULD HAVE TO GET THE

**BARRISTERS' REPORTING SERVICE**

1 CONCURRENCE OF YOUR SECOND, WHICH IS DR. QUINT, I  
2 BELIEVE.

3 DR. QUINT: I AGREE.

4 MR. SHEEHY: SO THE MOTION IS WITHDRAWN.  
5 OKAY. THERE YOU GO.

6 SO CAN WE GO TO THE NEXT RECOMMENDATION?  
7 AND I THINK --

8 MR. ROWLETT: JUST ONE QUICK COMMENT TO  
9 STAFF. THIS CONVERSATION, WHILE IT WAS A BIT TIME  
10 INTENSIVE, IS VERY HELPFUL IN UNDERSTANDING THE  
11 PROCESS. AND WHILE I VOTED YES TO SUPPORT THE STAFF  
12 RECOMMENDATION, I ALSO, AS A MEMBER OF THE PATIENT  
13 ADVOCATE COMMUNITY, APPRECIATE DIANE'S APPROACH TO  
14 THIS. AND, AGAIN, IT IS A HELP TO ME TO APPRECIATE  
15 WHAT COULD HAPPEN WHEN YOU SEE A POTENTIAL FOR,  
16 I.E., I'LL SPEAK VERY GLOBALLY, PARTIALLY FUNDING A  
17 PROJECT. AND SO THANK YOU.

18 MR. SHEEHY: WE HAVE DONE SO IN THE PAST,  
19 BUT USUALLY WE'RE ABLE TO TEASE OUT A VERY DISCRETE  
20 SET OF ACTIVITIES. AND SINCE WE'VE INTRODUCED THIS  
21 NEW PROCESS WITH STAFF, STAFF HAS HAD THE ABILITY TO  
22 REALLY TO DO THAT AND ADDRESS MAYBE THOSE ONE OR TWO  
23 FATAL FLAWS. AND WE'VE ALSO ENCOURAGED THE WORKING  
24 GROUP TO TAKE THAT ON AS WELL. SO WE ARE TRYING TO  
25 BE NIMBLE.

**BARRISTERS' REPORTING SERVICE**

1 SO, AGAIN, WE'RE GOING TO THE NEXT  
2 PROJECT. I'M GOING TO PASS THE CHAIR TO DR. STEWARD  
3 FOR THIS ONE BECAUSE I WOULD LIKE TO MAKE THE MOTION  
4 ON THIS ONE. DR. STEFFEN. SO THIS IS SEVEN OH --

5 DR. STEFFEN: 7078. AND THE TITLE OF THE  
6 APPLICATION IS "EMBRYONIC STEM CELL-DERIVED  
7 CHRONDROPROGENITOR CELLS TO REPAIR OSTEOCHONDRAL  
8 DEFECTS."

9 THE DISEASE TARGET THAT THE APPLICANT IS  
10 ADDRESSING IS DEFECTS IN THE CARTILAGE,  
11 OSTEOCHRONDRAL DEFECT. AND THEIR APPROACH IS TO USE  
12 ALLOGENEIC HESC, HUMAN EMBRYONIC STEM CELL-DERIVED,  
13 CHONDROCYTE PROGENITORS ALONG WITH A BIOLOGIC  
14 SCAFFOLD.

15 AND THE PROGRAMMATIC POINTS FOR  
16 CONSIDERATION, WE DID LOOK AT OUR TRANSLATIONAL  
17 PORTFOLIO TABLE TO ANSWER YOUR QUESTION IN THE  
18 CONTEXT OF NUMBERS. THERE ARE TWO EXISTING  
19 TRANSLATIONAL AWARDS. I'LL DETAIL THEM IN THE  
20 RECOMMENDATION. AND THIS WOULD ADD THE THIRD. SO  
21 THERE WOULD BE THREE TOTAL.

22 THE PROJECT, AGAIN, LEVERAGES A TEAM AND  
23 THE KNOW-HOW GAINED IN A COMPLETED EARLY  
24 TRANSLATIONAL PROJECT, A PRIORITY AREA FOR THE RFA.  
25 AND WITH RESPECT TO THE PORTFOLIO, THIS PROJECT IS

**BARRISTERS' REPORTING SERVICE**

1 AT THE MOST ADVANCED DEVELOPMENT STAGE OF THESE  
2 PROJECTS IN THE CIRM PORTFOLIO TARGETING CARTILAGE  
3 DISORDERS. IT UNIQUELY FOCUSES ON A PLURIPOTENT  
4 DERIVED PROGENITOR CELL.

5 DR. ABO IS GOING TO ADDRESS, IF YOU WOULD  
6 LIKE HIM TO, IN HIS SCIENTIFIC PRESENTATION WHY THE  
7 PLURIPOTENT CELL IS IMPORTANT IN THIS PARTICULAR  
8 CASE.

9 THE OTHER TWO AWARDS, AN EARLY  
10 TRANSLATIONAL DEVELOPMENT CANDIDATE FEASIBILITY  
11 AWARD -- LET ME REMIND YOU THAT IS A VERY EARLY  
12 AWARD TO DEMONSTRATE THAT THERE MIGHT BE BIOLOGIC  
13 ACTIVITY. IT'S PROOF OF CONCEPT IN A PRECLINICAL  
14 MODEL -- WAS RECENTLY FUNDED TO DEVELOP AN  
15 AUTOLOGOUS SKIN-ISOLATED STEM CELL-DERIVED  
16 TISSUE-ENGINEERED PRODUCT FOR FOCAL CARTILAGE  
17 DEFECTS, JUST BEGUN. AND ANOTHER EARLY  
18 TRANSLATIONAL AWARD IS A SMALL MOLECULE DESIGNED TO  
19 INDUCE CHONDROCYTE DIFFERENTIATION IN MESENCHYMAL  
20 STEM CELLS THAT ARE RESIDENT IN THE HOST FOR THE  
21 TREATMENT OF OSTEOARTHRITIS. SO DIFFERENT PROJECTS.

22 THERE ARE NO FUNDED DISEASE TEAM AWARDS OR  
23 STRATEGIC PARTNER AWARDS IN THE CIRM PORTFOLIO IN  
24 CARTILAGE DISORDERS.

25 THAT CONCLUDES THE STAFF RECOMMENDATION.



**BARRISTERS' REPORTING SERVICE**

1 DR. STEWARD: THANK YOU. OPEN TO MOTIONS.

2 MR. SHEEHY: I WOULD LIKE TO MOVE TO  
3 REJECT STAFF RECOMMENDATION NOT TO FUND IT, AND I  
4 WILL EXPLAIN AND HOPEFULLY I WILL GET A SECOND.

5 DR. JUELSGAARD: I SECOND.

6 MR. SHEEHY: OH, GREAT. THANKS. THAT'S  
7 FASTER.

8 THE PROBLEM IS -- AND I'M ACTUALLY  
9 INDICATED FOR THIS PARTICULAR PRODUCT. I'M DUE FOR  
10 A KNEE REPLACEMENT, SUPPOSED TO HAVE IT LAST YEAR  
11 ABOUT THIS TIME, AND A SERIES OF UNFORTUNATE EVENTS  
12 PREVENTED THAT FROM HAPPENING. THE PROBLEM WITH  
13 THIS IS THAT THIS IS A PLURIPOTENT CELL SOURCE. AND  
14 SO AT MINIMUM THIS IS FIVE YEARS FOR A PHASE I, AND  
15 THERE ARE A HOST OF OTHER TREATMENTS AVAILABLE FOR  
16 THIS. AND AS ONE OF THE REVIEWERS SAID, YOU KNOW,  
17 THERE'S A CELL THERAPY AVAILABLE FOR THIS FROM  
18 GENZYME CALLED CARDICELL. IT WAS APPROVED IN 1997,  
19 AND THAT'S NOT WIDELY TAKEN UP BECAUSE THERE'S A  
20 TREATMENT THAT PEOPLE USE, A MICROFRACTURE, THAT  
21 OFFERS OUTCOME FOR A VAST MAJORITY OF PATIENTS.

22 AND THE REVIEWER, WHO ALSO, BY THE WAY,  
23 SUFFERS FROM OSTEOARTHRITIS, MADE A POINT OF THAT  
24 AND IS VERY FOND OF SAYING, YOU KNOW, I WISH WE'D BE  
25 FOCUSING ON TREATING REALLY SERIOUS INCURABLE

**BARRISTERS' REPORTING SERVICE**

1 DISEASE AND NOT MAKING IT POSSIBLE FOR MIDDLE-AGED  
2 WEEKEND ATHLETES TO GET BACK OUT THERE ON THE TENNIS  
3 COURT.

4 THIS IS 15 OR 20 YEARS FROM GOING INTO A  
5 PATIENT. AND, AGAIN, WITHIN THIS WHOLE FRAMEWORK OF  
6 TRYING TO MAKE A DIFFERENCE IN SERIOUS DISEASES TO  
7 FULFILL THE MANDATE OF PROP 71 AND TO BE GOOD  
8 SHEPHERDS OF THE FUNDS THAT WE'VE BEEN GIVEN, I  
9 THINK THIS IS ONE WE SHOULD PASS ON. IT'S VERY HARD  
10 TO, FRANKLY, BECAUSE EMOTIONALLY I WANTED TO DO THE  
11 APPLICATION THAT MS. WINOKUR WAS PUSHING FOR. AND I  
12 AGREE. I HAVE TO TAKE THE ADVICE OF STAFF AND THE  
13 REVIEWERS ON THAT, BUT AT LEAST I'M TRYING TO MAKE A  
14 DIFFERENCE IN A SERIOUS CONDITION IN SOMEBODY'S LIFE  
15 IF I MAKE THAT BET.

16 HERE, I'M PUTTING DOWN A LOT OF MONEY TO  
17 START A PROCESS THAT'S GOING TO LAST A VERY LONG  
18 TIME. AND EVEN WHEN IT GETS THERE, IT'S NOT GOING  
19 TO MAKE A DRAMATIC DIFFERENCE IN PEOPLE'S LIVES.  
20 IT'S PROBABLY GOING TO BE A VERY EXPENSIVE PROCEDURE  
21 FOR WHICH THERE'S ALREADY A LOT OF TREATMENTS  
22 AVAILABLE. AND, AGAIN, WE DON'T HAVE AN INFINITE  
23 AMOUNT OF MONEY. THIS IS WHAT I MEAN BY  
24 PRIORITIZATION, THAT SOME THINGS DON'T NEED TO GO  
25 FORWARD. LET'S SAVE OUR MONEY FOR THINGS -- THINK

## BARRISTERS' REPORTING SERVICE

1 ABOUT WHAT HAPPENS IF WE RUN OUT OF MONEY BEFORE  
2 THIS SICKLE CELL PROJECT IS IN PHASE III. THAT  
3 WOULD BREAK MY HEART. SO LET'S SAVE OUR POWDER ON  
4 THIS ONE. THAT'S MY ARGUMENT. PURELY PROGRAMMATIC,  
5 BY THE WAY.

6 DR. STEWARD: THANK YOU. OTHER COMMENTS?

7 CHAIRMAN THOMAS: I'D LIKE TO HEAR STAFF'S  
8 RESPONSE TO THAT.

9 DR. STEFFEN: DR. ARI ABO IS GOING TO GIVE  
10 THE SUMMARY OF THE GRANTS WORKING GROUP  
11 RECOMMENDATION.

12 DR. ABO: HI. MY NAME IS ARI ABO. I'M  
13 SCIENCE OFFICER ON THE TRANSLATIONAL TEAM, AND I  
14 HAVE SOME PROJECTS IN DISEASE TEAM. SO JUST TO PUT  
15 THIS IN CONTEXT, THIS PROJECT, WE SUPPORTED IT FOR  
16 THE LAST THREE YEARS UNDER THE EARLY TRANSLATION  
17 INITIATIVE. AND I WAS THE PROGRAM OFFICER WORKING  
18 WITH THE PI ON THIS INDICATION. SO THEY WORKED VERY  
19 CLOSELY WITH US TO REALLY MOVE TO DEVELOP A  
20 DEVELOPMENT CANDIDATE, A DC CANDIDATE, THAT  
21 POTENTIALLY COULD MOVE TO CLINICAL DEVELOPMENT.

22 AND THROUGH THE PROCESS, WE STARTED WITH  
23 VARIOUS CELLS AND THEN DID PROOF OF CONCEPT AND  
24 REALLY SHOWED DISEASE MODIFYING ACTIVITY WITH THIS  
25 PARTICULAR DEVELOPMENT CANDIDATE. AND THEN WE

**BARRISTERS' REPORTING SERVICE**

1 RECOMMENDED THE APPLICANTS TO APPLY FOR THE DISEASE  
2 TEAM UNDER THE EARLY TRANSLATION PATH.

3 SO HAVING SAID THAT, I WILL GO THROUGH  
4 SOME OF THE ISSUES THAT THE REVIEW RAISED, SOME  
5 CAPTURED BY MR. SHEEHY. AND THERE WAS UNANIMOUS  
6 AGREEMENT BY REVIEWERS THAT THE DATA, THE  
7 PRECLINICAL DATA, WAS IMPRESSIVE. AND THE REASON WE  
8 GOT EXCITED ABOUT SOME OF THIS PRECLINICAL DATA AND  
9 THE DATA THAT WAS SUPPORTED BY THE APPLICANT IS THIS  
10 IS THE FIRST EXAMPLE OF USING EMBRYONIC STEM  
11 CELL-DERIVED CHONDROPROGENITOR CELL THAT MAKES A  
12 VERY HIGH QUALITY OF CARTILAGE. AND THIS IS BASED  
13 ON THE APPLICATIONS.

14 IF YOU GO BACK TO THE APPLICATION, THERE  
15 IS DATA THERE COMPARING THE ACTIVITY OF THESE CELLS  
16 DERIVED TO EXISTING CELLS THAT'S USED FOR SIMILAR  
17 THERAPIES, SUCH AS MSC'S AND EVEN HUMAN  
18 CHONDROCYTES. SO IT SEEMS THAT THE CELLS THAT WERE  
19 THE EMBRYONIC STEM CELL-DERIVED THAT WAS SELECTED TO  
20 BECOME A CARTILAGE PRECURSOR CELL SEEMS TO BE MAKING  
21 THE RIGHT CARTILAGE, WHICH IS ARTICULAR CARTILAGE,  
22 AND THEY HAVE THE RIGHT STIFFNESS THAT IS REQUIRED  
23 FOR THIS KIND OF INDICATION, TO REPAIR FOCAL  
24 CARTILAGE LESIONS.

25 SO THAT'S VERY EXCITING. THE REVIEWERS --

## BARRISTERS' REPORTING SERVICE

1 NEVERTHELESS, REVIEWERS WERE RAISING THIS ISSUE  
2 ABOUT COMPETITIVENESS OF THIS APPROACH, ASSUMING, AS  
3 MR. SHEEHY SAID, THAT THERE ARE SEVERAL PRODUCTS IN  
4 THE MARKET THAT ARE ACTUALLY USED FOR THESE KIND OF  
5 INDICATIONS. AND THIS IS TRUE. THERE ARE SEVERAL  
6 PRODUCTS IN THE MARKET AND SEVERAL IN DEVELOPMENT TO  
7 TREAT FOCAL CARTILAGE DEFECTS. HOWEVER, THE MAIN  
8 ISSUE, AS ADDRESSED BY THE APPLICANTS, ARE THAT THE  
9 CURRENT APPROACH TYPICALLY GENERATES A THERAPY. THE  
10 DURABILITY OF THE APPROACH IS NOT VERY LONG AND  
11 REQUIRES ADDITIONAL THERAPIES FOR THIS. OKAY.

12 SO THAT'S ESSENTIALLY WHAT THE MAIN ISSUE  
13 THAT WAS RAISED BY THE REVIEWERS, THE  
14 COMPETITIVENESS OF THIS MOLECULE ASSUMING THERE'S  
15 OTHER PRODUCTS.

16 ANOTHER CONCERN THE REVIEWERS RAISED IS  
17 THE ISSUE ASSOCIATED WITH TREATING PATIENTS FOR  
18 NONLIFE-THREATENING DISEASES WITH PLURIPOTENT STEM  
19 CELLS. AND JUST A REMINDER, THIS APPLICATION IS  
20 REQUIRED TO FUND PRECLINICAL STUDIES ALL THE WAY TO  
21 IND, NOT TO GO TO CLINICAL DEVELOPMENT. PART OF THE  
22 PROPOSAL WAS TO CONDUCT TOXICITY AND SAFETY STUDIES.

23 OVERALL THERE WAS ENTHUSIASM FOR THE  
24 APPLICATION, AND THE SCIENCE SOUNDS -- VERY EXCITING  
25 PRELIMINARY DATA. THE TEAM SEEMS TO BE VERY STRONG

**BARRISTERS' REPORTING SERVICE**

1 AND THE COLLABORATIVE AND THE INSTITUTION SEEMS TO  
2 BE THE RIGHT PLACE TO THIS SUPPORT THIS STUDY.

3 DR. STEWARD: THANK YOU. OTHER BOARD  
4 COMMENTS?

5 MR. TORRES: YES.

6 DR. STEWARD: I THINK THAT'S ART.

7 MR. TORRES: YES. YES, IT IS. I WANT TO  
8 ASSOCIATE MYSELF HALFWAY WITH JEFF SHEEHY'S REMARKS,  
9 BUT ALSO I KNOW THE IMPETUS FOR THE PASSAGE OF  
10 PROPOSITION 71 BY THE VOTERS WAS TO LOOK FOR CURES  
11 FOR INCURABLE DISEASES. BUT WE ALSO HAVE TO KEEP IN  
12 MIND THAT THERE'S SUCH A LARGE POPULATION OF THOSE  
13 OF US WHO ARE CHRONOLOGICALLY GIFTED WHO MAY NEED  
14 THIS KIND OF TREATMENT IN THE FUTURE TO CONTINUE TO  
15 LEAD PRODUCTIVE LIVES. AND A LOT OF THOSE PEOPLE  
16 WERE ALSO VOTERS FOR PROPOSITION 71 AND MIGHT BE  
17 ABLE TO BENEFIT IN THEIR OWN LIVES AS I HEAR SO MANY  
18 ANECDOTAL RESPONSES TO PEOPLE WHO ARE LOSING THEIR  
19 ABILITY TO WALK, LOSING THEIR ABILITY TO FUNCTION  
20 THAT MIGHT SOMEDAY BENEFIT FROM THIS TYPE OF  
21 TREATMENT.

22 AND THAT'S WHY I WOULD SUPPORT THE STAFF  
23 RECOMMENDATION TO FUND, WITH ALL DUE RESPECT.

24 DR. STEWARD: THANK YOU.

25 DR. JUELSGAARD: SO I ACTUALLY AM VERY

**BARRISTERS' REPORTING SERVICE**

1 SUPPORTIVE OF WHAT JEFF WAS TALKING ABOUT EARLIER.  
2 SO I HARKEN BACK TO YESTERDAY'S DISCUSSION WHICH WE  
3 HAD IN QUITE SOME DETAIL ABOUT PRIORITIZATION, WHICH  
4 HAS BEEN INFORMING MY DECISION-MAKING THIS MORNING,  
5 INCLUDING VOTING AGAINST THE PREVIOUS PROJECT THAT  
6 WAS APPROVED IN SICKLE CELL ANEMIA, NOT BECAUSE I  
7 DON'T THINK IT'S A WORTHY CAUSE, BUT BECAUSE, FROM  
8 MY POINT OF VIEW IN THE PRIORITIZATION DISCUSSION,  
9 IT SUFFERS FROM SOME PROBLEMS.

10 AND IT'S ONE OF THOSE THINGS WE'RE GOING  
11 TO HAVE TO START WRESTLING WITH SOONER RATHER THAN  
12 LATER. SO ONE OF THE PROBLEMS WE'RE GOING TO RUN  
13 INTO WITH LIMITED AMOUNT OF RESOURCES IS WE'RE GOING  
14 TO AT SOME POINT NOT HAVE THE FUNDS TO CONTINUE  
15 PROJECTS THAT MAY WELL VERY MUCH DESERVE  
16 CONTINUATION, BUT WE WON'T HAVE THE FUNDS FOR IT AND  
17 IT WILL BE VERY DIFFICULT FOR THEM TO FIND FUNDS  
18 ANYWHERE ELSE BECAUSE OF THE NATURE OF THE PROBLEM,  
19 THE SIZE OF THE DISEASE POPULATION, ETC. AND IT'S A  
20 LITTLE HARD TO PREDICT WHAT THOSE MIGHT BE. BUT IN  
21 ANY EVENT, THAT'S A PARTICULAR PROBLEM.

22 SO I'M IN THIS CASE EVEN MORE TO ONE SIDE  
23 IN TERMS OF THE CONDITION THAT IT'S AIMED AT, AND IN  
24 PARTICULAR IN THE FACT THAT THERE ARE ALTERNATIVE  
25 THERAPIES, AS JEFF HAS POINTED OUT, PARTICULARLY IN

**BARRISTERS' REPORTING SERVICE**

1 THE DEVICE AREA, FOR SOME OF THESE PROBLEMS. SO I  
2 VERY MUCH AM A STRONG BELIEVER THAT WE NEED TO START  
3 EXERCISING BUDGET RESTRAINT, AND WE NEED TO START  
4 EXERCISING IT NOW. THAT'S MY POINT OF VIEW, AND  
5 THAT'S WHY I'M OPPOSED TO THIS ONE AND WHY I WAS  
6 OPPOSED TO THE PREVIOUS ONE. AND I FROM HERE ON OUT  
7 VERY MUCH WILL BE VIEWING ALL OF THESE PROPOSALS AS  
8 MUCH FROM AN OVERALL BUDGET CONSIDERATION AS SORT OF  
9 INDIVIDUAL MERIT.

10 DR. STEWARD: THANK YOU. DR. FINE.

11 DR. FINE: I DON'T HAVE ANY PROBLEMS WITH  
12 EITHER OF MY KNEES TO DECLARE. THE ECONOMIC BURDEN  
13 OF THIS PROBLEM IS ASTRONOMICAL. AND I THINK IT'S  
14 HIGHLY LIKELY THAT IF APPROACH, THIS THERAPEUTIC  
15 APPROACH, DOES EVOLVE, THAT IT WILL BE EMPLOYED NOT  
16 WHEN PEOPLE NEED KNEE REPLACEMENTS OR  
17 MICROFRACTURES, BUT WELL IN ADVANCE OF THAT WHEN THE  
18 EARLY SIGNS OF OSTEOARTHRITIS ARE EVIDENT. AND  
19 THESE CAN NOW BE PICKED UP BY ALL SORTS OF  
20 NONINVASIVE IMAGING TECHNIQUES.

21 SO THE BENEFIT TO SOCIETY COULD BE  
22 SUBSTANTIAL, AND I THINK THAT, ON THE BASIS OF THE  
23 ECONOMIC ARGUMENT, I WOULD JUST WISH TO POINT THAT  
24 OUT.

25 DR. STEWARD: THANK YOU. JEFF.



**BARRISTERS' REPORTING SERVICE**

1 MR. SHEEHY: I THINK DR. FINE JUST MADE MY  
2 ARGUMENT FOR ME IN PART. I HAD A TWO-PART ARGUMENT.  
3 YOU'RE NOT GOING TO PUT A PLURIPOTENT SOURCE INTO  
4 THOSE PATIENTS. YOU'RE JUST NOT, NOT FOR 20 YEARS.  
5 WHY WOULD YOU GET SOMEBODY WHO HAD VERY LITTLE  
6 RESTRICTION IN THEIR ABILITY TO DO ANYTHING, WHY YOU  
7 WOULD YOU GO IN THERE WITH SOMETHING THAT COULD  
8 CAUSE HORRENDOUS CANCERS? THE PROBLEM HERE IS THAT  
9 IT'S A PLURIPOTENT SOURCE. AND THOSE ARE ONLY --  
10 THE DEVELOPMENT PATHWAY FOR THAT IS GOING TO NEED TO  
11 GO DOWN, I THINK, A MORE SERIOUS DISEASE CONDITION  
12 ROUTE BEFORE WE START DOING IT.

13 I AGREE WITH YOU. THIS MIGHT BE A GREAT  
14 PROJECT DOWN THE ROAD. BUT FOR US WITHIN OUR TIME  
15 FRAME THIS PROJECT IS NOT, I THINK, GOING TO BE  
16 REALIZED CERTAINLY WITHIN THE LIFE OF OUR CURRENT  
17 FUNDING AND HIGHLY UNLIKELY, 15 TO 20 YEARS. YOUR  
18 INDICATION FOR THIS DISEASE SOUNDS GREAT, BUT YOU  
19 WOULD NEVER PUT IN PLURIPOTENT DERIVED CELLS IN THE  
20 NEXT FIVE TO TEN YEARS IN SOMEBODY IN THAT STATE.

21 MY SECOND POINT GOES TO THE ACTUAL REVIEW  
22 AND THE REVIEWERS. IF YOU LOOK AT THE DISTRIBUTION  
23 OF SCORES, THE HIGHEST SCORE WAS A 75. AND THIS,  
24 AGAIN, GOES TO MR. JUELSGAARD'S ARGUMENT. THERE WAS  
25 NOBODY WHO WAS DOING HANDSTANDS ON THIS GRANT. THE

## BARRISTERS' REPORTING SERVICE

1 HIGHEST SCORE WAS AT THE VERY BOTTOM OF THE FUNDABLE  
2 CATEGORY. AND PEOPLE WERE VERY CLEAR. IF YOU THINK  
3 THIS SHOULD BE FUNDED, FUND IT 75 AND ABOVE. SO ONE  
4 PERSON KIND OF WENT, ANH, 75. SO THE LEVEL OF  
5 ENTHUSIASM, EVEN IF WE TAKE ALL THE OTHER ARGUMENTS,  
6 THIS IS JUST NOT NECESSARILY THE GREATEST PROJECT.  
7 AND GIVEN ALL THOSE ISSUES AND THE LIMITATIONS ON  
8 OUR FUNDING AND THE THINGS THAT WE'RE GOING TO HAVE  
9 TO TALK ABOUT, I THINK, IN THE NEXT YEAR AND A HALF  
10 TO TWO YEARS NOT DOING, I THINK THIS IS SOMETHING WE  
11 CAN PASS ON.

12 IF THEY DO INDEED HAVE GREAT CELLS, THEN  
13 THEY SHOULD COME BACK WITH A PROJECT IN A SERIOUS  
14 DISEASE THAT THEY CAN MAKE A BIG IMPACT ON. I THINK  
15 THAT WAS BROUGHT UP BY THE REVIEWERS. THEY COULD  
16 HAVE TARGETED SOMETHING ELSE. THIS IS JUST THE MOST  
17 LUCRATIVE PLACE THEY COULD IMAGINE TO TARGET. WE'RE  
18 NOT REALLY HERE TO DO THAT. WE'RE HERE TO REALLY  
19 MAKE A DIFFERENCE IN SERIOUS DISEASES AND  
20 CONDITIONS.

21 DR. STEWARD: I'M JUST GOING TO TAKE THE  
22 TEMPORARY CHAIR'S PREROGATIVE AND ADD TO THAT. I  
23 ACTUALLY ALREADY HAVE HAD MY HIP REPLACEMENT. THANK  
24 YOU VERY MUCH. PLANNING TO GO SNOWBOARDING NEXT  
25 WEEK. DOING FINE. ALSO, SPINE SURGERY AND SHOULDER

**BARRISTERS' REPORTING SERVICE**

1 SURGERY TOO. I TOTALLY AGREE. THERE'S REALLY GOOD  
2 TREATMENTS OUT THERE RIGHT NOW.

3 ONE OF THE POINTS THAT'S MADE, AGAIN, THIS  
4 IS GOING TO BE SOMETHING THAT'S INJECTED INTO PEOPLE  
5 WHO ARE REALLY ALREADY PRETTY HEALTHY, AND THE BAR  
6 IS GOING TO BE REALLY HIGH TO DO THAT IN OTHERWISE  
7 PRETTY HEALTHY PEOPLE. SO I'M SUPPORTING EVERYTHING  
8 THAT YOU'VE ALREADY SAID ON THAT.

9 I DO THINK, ALSO, JUST TO MENTION, THAT  
10 THERE IS A LUCRATIVE MARKET OUT THERE. PEOPLE WHO  
11 WOULD BE INTERESTED IN THIS ARE ABLE TO PAY. I  
12 THINK THIS IS SOMETHING THAT COMPANIES COULD DEVELOP  
13 PRETTY EASILY IF THAT REALLY LOOKED LIKE THE WAY TO  
14 GO. I'M SORRY TO TAKE CHAIR'S PREROGATIVE, BUT ANY  
15 OTHER COMMENTS BY THE BOARD? J.T.

16 CHAIRMAN THOMAS: I THINK THIS ISSUE OF  
17 COMPETITIVE LANDSCAPE IS A BIG ISSUE. ONE OF THE  
18 THINGS THAT CAUSED ME TO BE TORN ON THE RETINITIS  
19 PIGMENTOSA GRANT IS THERE ARE OTHER TECHNOLOGIES  
20 GOING FORWARD, BUT THERE AREN'T COMPETITIVE PRODUCTS  
21 OUT THERE. AND THE QUESTION OF HAVING A BUNCH OF  
22 DIFFERENT SHOTS ON GOAL IS VERY DIFFERENT FROM  
23 HAVING A TECHNOLOGY THAT'S COMPETING AGAINST WAYS TO  
24 DEAL WITH THINGS THAT ARE ALREADY ESTABLISHED, WHICH  
25 IS WHAT WE HAVE HERE.

**BARRISTERS' REPORTING SERVICE**

1 SO I THINK THAT THIS IS AN INSTANCE WHERE  
2 WE HAVE TO PAY ATTENTION TO THE COMPETITIVE  
3 LANDSCAPE ASPECT, PARTICULARLY GIVEN THE NONLIFE  
4 THREATENING, THOUGH DEBILITATING, AND I APPRECIATE  
5 ALL WHAT ART HAD TO SAY AND WHAT LEON HAD TO SAY AS  
6 WELL, BUT HERE AT THE TIME OF PRIORITIZATION, I  
7 THINK THAT THE COMPETITIVE LANDSCAPE ISSUE, IN MY  
8 OPINION, IS A VERY MATERIAL THING TO CONSIDER.

9 DR. STEWARD: THANK YOU. OTHER BOARD  
10 COMMENTS? PUBLIC COMMENT?

11 DR. OLSON: I'D JUST LIKE TO MAKE ONE  
12 COMMENT ABOUT THE COMPETITIVE LANDSCAPE. CARDICELL,  
13 WHICH HAS BEEN CITED BY SOME PEOPLE, IS NOT VERY  
14 WELL TAKEN UP BECAUSE IT'S NOT A VERY GOOD PRODUCT.  
15 IT MAKES FIBROUS CARTILAGE, AND IT DOESN'T DO A VERY  
16 GOOD JOB. THE CARTILAGE GRAFTS, WHICH IS THE OTHER  
17 OPTION, AGAIN, IT'S A FIBROUS CARTILAGE THAT USUALLY  
18 NEEDS TO BE REPLACED WITHIN A FEW YEARS.

19 THIS IS THE ONLY CELL TYPE THAT BASICALLY  
20 HAS BEEN ABLE TO GENERATE AN ARTICULAR CARTILAGE  
21 CHONDROCYTE IN COMPARATIVE STUDIES WITH OTHER CELLS.  
22 I APPRECIATE THE ISSUE THAT IT IS A PLURIPOTENT  
23 CELL, BUT PART OF WHY WE WERE PUT HERE WAS  
24 ESSENTIALLY TO FIGURE OUT HOW TO USE EMBRYONIC STEM  
25 CELLS. AND PART OF WHAT -- AS PEOPLE -- I WILL

**BARRISTERS' REPORTING SERVICE**

1 AGREE THAT THE FDA WILL OBVIOUSLY SET A BAR FOR  
2 SAFETY AND WHAT HAVE YOU, BUT WE ARE SEEING NOW THAT  
3 PEOPLE ARE USING -- TALKING ABOUT USING  
4 EMBRYONIC-DERIVED CELLS IN NONLIFE THREATENING  
5 INDICATIONS WITH MORBIDITIES AND SUCH.

6 SO I WOULD RESPECTFULLY DISAGREE WITH THE  
7 15 TO 20 YEARS BEFORE IT WILL EVER GET INTO THE  
8 CLINIC. BUT I JUST AM POINTING OUT THAT IT'S THE  
9 UNIQUE INSTANCE OF A CELL THAT'S BEEN ABLE TO  
10 GENERATE THE CELL THAT IS UNIQUE IS NEEDED. SO  
11 THAT'S ALL I WANTED TO SAY.

12 MR. SHEEHY: CAN I RESPOND? SO ARE YOU  
13 WILLING TO GIVE UP SOMETHING ELSE IN THE PORTFOLIO  
14 THAT YOU CAN CONTROL TO FUND THIS? ARE YOU WILLING  
15 TO GIVE UP ONE OF THE BASIC BIOLOGY ROUNDS TO FUND  
16 THIS?

17 DR. OLSON: I DON'T THINK I REALLY NEED TO  
18 DO THAT. I DON'T KNOW THAT THIS IS THAT DRAMATIC A  
19 TRADE-OFF. I THINK IT IS A -- WHERE DO YOU THINK WE  
20 CAN MAKE A DIFFERENCE?

21 MR. SHEEHY: THESE ALL ARE TRADE-OFFS, AND  
22 THAT'S WHAT WE'RE TRYING TO DO. IT'S EASY TO COME  
23 UP AND ARGUE THAT THESE ARE GREAT CELLS, BUT THEY'RE  
24 GOING INTO PEOPLE'S KNEES. IF YOU ARE GOING TO  
25 ARGUE FOR THIS, I WOULD LOVE TO DO THE HAPLOTYPE

**BARRISTERS' REPORTING SERVICE**

1 BANK. I THINK THAT'S A GREAT PROJECT. WE'RE GOING  
2 TO HAVE TO START MAKING THESE CHOICES, AND I THINK  
3 IT'S HARD IF YOU ARE GOING TO ARGUE FOR THE  
4 INDIVIDUAL PROJECT IF YOU'RE NOT GOING TO PUT  
5 SOMETHING ON THE TABLE THAT YOU'RE NOT WILLING TO DO  
6 TO ARGUE FOR AN INDIVIDUAL PROJECT.

7 DR. FEIGAL: CAN I JUST MAKE ONE COMMENT  
8 AND THEN I'LL DEFER? I THINK THE MAIN POINT OF DR.  
9 OLSON'S COMMENT WAS THE UNIQUE ABILITY OF THIS CELL  
10 TYPE TO MAKE THE TYPE OF CARTILAGE, AND THE QUALITY  
11 OF CARTILAGE WAS IMPORTANT, WHICH IS AN IMPORTANT  
12 ADVANCE. THAT'S ALL I'M GOING TO SAY.

13 DR. STEWARD: OKAY. I THINK WE'VE  
14 EXHAUSTED BOARD COMMENT. WE DO HAVE PUBLIC COMMENT.  
15 BOB KLEIN.

16 MR. KLEIN: I HAD NO INTENT OF COMMENTING  
17 TODAY, BUT I THINK ON A PORTFOLIO BASIS I'D LIKE TO  
18 ADDRESS THIS ISSUE BECAUSE I'VE NOT STUDIED THIS  
19 INDIVIDUAL GRANT. AND ON A PORTFOLIO BASIS, I'D  
20 MUCH RATHER HAVE MY SON HAVE A CELL TYPE FOR TYPE 1  
21 DIABETES DERIVED FROM HUMAN EMBRYONIC STEM CELLS,  
22 FROM IPS CELLS, WHICH I THINK THERE'S SOME  
23 SUBSTANTIAL DOCUMENTATION ON REAL DIFFERENCES FROM  
24 THOSE DERIVED CELL TYPES AS COMPARED TO BEING  
25 DERIVED FROM EMBRYONIC STEM CELLS.

**BARRISTERS' REPORTING SERVICE**

1           IN ADDITION, I THINK THERE'S GOING TO BE A  
2 PUBLICATION, AN SCNT PUBLICATION, IN A VERY  
3 PRESTIGIOUS MAGAZINE SHORTLY THAT COMPARES  
4 SCNT-DERIVED CELL TYPES WITH CELLS DERIVED FROM IPS  
5 CELLS WHICH IS GOING TO SHOW SOME SUBSTANTIAL  
6 DIFFERENCES. I THINK IT'S VERY IMPORTANT IN TERMS  
7 OF OUR SPECIFIC CHARTER TO BE ABLE TO BE PROVING OUT  
8 CELL TYPES THAT QUALITATIVELY IMPROVE MEDICINE THAT  
9 ARE DERIVED FROM HUMAN EMBRYONIC STEM CELLS.

10           THE READINESS OF THIS, AS I LISTEN TO THIS  
11 PRESENTATION, IS VERY IMPORTANT. THE QUALITATIVE  
12 IMPROVEMENT ON THE THERAPY IS VERY IMPORTANT. MY  
13 MOTHER HAD, BEFORE SHE DIED, A KNEE REPLACEMENT.  
14 AND I WILL TELL YOU THAT IN THE AGED POPULATION THIS  
15 IS A MASSIVE PROBLEM WITH HUGE, HUGE COSTS. THE  
16 FACT THAT WE HAVE A READINESS HERE, I THINK, IS VERY  
17 IMPORTANT. AND FROM WHAT I'VE READ, WHICH IS  
18 PERSONAL TO MY READING, THE SCIENTIFIC STAFF, I  
19 THINK, HAS A BETTER HANDLE ON THIS THAN I WOULD, BUT  
20 FROM WHAT I'VE TALKED TO THE SCIENTIFIC STAFF ABOUT  
21 AND LISTENED TO THIS PRESENTATION, I DON'T THINK  
22 BECAUSE IT'S DERIVED FROM A HUMAN EMBRYONIC STEM  
23 CELL IT'S 15 YEARS OUT.

24           WHEN IMPERIAL COLLEGE LONDON DID THE WORK  
25 FOR AGE-RELATED MACULAR DEGENERATION AND THEIR

**BARRISTERS' REPORTING SERVICE**

1 PRECLINICAL WORK IN PIGS WITH HUMAN CELLS, THEY  
2 FOUND THAT, IN FACT, THERE WAS AN IMMUNE RESPONSE  
3 THAT IS IN THE LITERATURE PUBLISHED FOR IPS CELLS  
4 AND NOT AN IMMUNE RESPONSE FOR THE EMBRYONIC STEM  
5 CELL-DERIVED CELLS. AND THERE IS A SIGNIFICANT  
6 AMOUNT OF LITERATURE THAT SAYS THAT THAT DERIVATION  
7 IS MORE STABLE THAN PERHAPS SOME OF THE DERIVATIONS  
8 FROM IPS CELLS.

9 I WOULD SAY THE JUDGE AND JURY ARE STILL  
10 OUT ON THIS ISSUE. BUT FROM THE LITERATURE THAT  
11 I'VE SEEN TODAY, I THINK EMBRYONIC STEM CELLS ARE  
12 IMPORTANT TO OUR CHARTER. SEVEN MILLION PEOPLE SAID  
13 THEY WERE IMPORTANT TO OUR CHARTER. THIS HAS GOT  
14 QUALITATIVE VALUE IN AN AREA, THE COST TO SOCIETY,  
15 AS DR. FINE SAYS, MASSIVE AMOUNTS OF MONEY. IT'S  
16 VERY PREVALENT IN THE OLDER POPULATION. AND IF THIS  
17 IS A WAY TO GET TO RESULTS EARLY WITH A BROAD SCALE  
18 PROBLEM THAT THERE'S EVIDENCE THAT CAN GO FORWARD IN  
19 INTO PHASE II, EVEN IF IT GETS JUST THROUGH -- IF  
20 THERE'S GOOD EVIDENCE IT CAN GO THROUGH A PHASE III  
21 TRIAL, EVEN IF IT JUST GETS THROUGH A PHASE II  
22 TRIAL, I THINK IT IS A VERY IMPORTANT MILESTONE THAT  
23 MAY HELP YOU GET MORE MONEY IN THE FUTURE BECAUSE  
24 THE PUBLIC WILL SEE A RESULT THAT AFFECTS A LOT OF  
25 PEOPLE.



**BARRISTERS' REPORTING SERVICE**

1 DR. STEWARD: THANKS, BOB. AND THANKS FOR  
2 STAYING WITHIN THE THREE-MINUTE TIME WINDOW. I  
3 REALLY APPRECIATE THAT. IT WOULD HAVE HURT ME TO  
4 CUT YOU OFF, BUT I WOULD HAVE DONE IT.

5 DR. TROUNSON: SO I JUST WANT TO INTRODUCE  
6 A COUPLE THINGS BECAUSE I THINK IT'S IMPORTANT THAT  
7 YOU HAVE ENOUGH INFORMATION THERE. THE WAY CELLS  
8 MIGHT BE DELIVERED FOR CARTILAGE, IT MIGHT BE  
9 THROUGH MSC'S, MESENCHYMAL STEM CELLS, AN ADULT STEM  
10 CELL, OR THROUGH IPS. I THINK IT'S ABSOLUTELY  
11 AGREED. I'VE BEEN TO THE INTERNATIONAL TISSUE  
12 ENGINEERING MEETINGS RECENTLY, AND EVERYBODY IS  
13 AGREED THAT THERE'S SOMETHING ABOUT EMBRYONIC STEM  
14 CELLS THAT CAN MAKE THE ARTICULATED CARTILAGE THAT  
15 THE OTHERS CAN'T. THEY CAN'T DO IT. AND UNLESS  
16 THEY'RE GOING TO PUT GENES IN TO MAKE THEM DO WHAT  
17 THE EMBRYONIC STEM CELLS -- THE EMBRYONIC STEM CELL  
18 WILL DO, THAT WILL BE THE WAY WHICH YOU WILL GO.

19 AND I THINK THERE ARE TEAMS THAT ARE SET  
20 UP IN JAPAN, FOR EXAMPLE, GOING WITH EMBRYONIC STEM  
21 CELL RATHER THAN IPS CELL, WHICH IS PRETTY  
22 INTERESTING IN JAPAN. SO NO DOUBT IT'S GOING TO GO  
23 THERE. PEOPLE ARE GOING TO GO THERE WITH THOSE  
24 CELLS BECAUSE IT'S THE ONLY ONE THAT MAKES  
25 ARTICULATED CARTILAGE. IF YOU CAN INJECT IT IN, IT

**BARRISTERS' REPORTING SERVICE**

1 WILL WORK. THAT WILL BE A LOT EASIER THAN WHAT THEY  
2 DID TO YOU, TO BE HONEST.

3 I THINK IN THE END IT WILL BE DEVELOPED BY  
4 SOMEBODY, AND IT DOESN'T HAVE TO BE DEVELOPED BY US.  
5 THESE CELLS DON'T MAKE CANCERS, JEFF. THESE MAKE  
6 TERATOMAS IF YOU DON'T DO IT PROPERLY, AND WE DO  
7 THINGS PROPERLY. SO NO CELLS THAT WE PUT IN THE  
8 EYE, THE PANCREAS, ANYTHING FROM EMBRYONIC STEM  
9 CELLS OR IPS WILL EVER MAKE TERATOMAS, AND THEY  
10 WON'T MAKE CANCERS EITHER BECAUSE THEY DON'T DO  
11 THAT.

12 SO I THINK IT'S AN OPTION FOR THE BOARD  
13 REALLY TO SAY, OKAY, WE'LL PASS ON THIS. THAT'S  
14 FAIR ENOUGH. THAT'S ABSOLUTELY FAIR ENOUGH. AND I  
15 THINK ACTUALLY SOMEONE ELSE WILL DEVELOP IT, AND  
16 THAT'S FAIR ENOUGH TOO. WE CAN'T DO EVERYTHING,  
17 ABSOLUTELY EVERYTHING IN THE FIELD, BUT I JUST  
18 WANTED TO MAKE SURE YOU UNDERSTOOD MSC'S WON'T DO  
19 IT, THE CARDICELL STUFF DOESN'T TO IT, AND THE IPS  
20 CELLS DON'T LOOK LIKE THEY'RE DOING IT EITHER. BUT  
21 THE EMBRYONIC STEM CELLS APPARENTLY WILL DO IT,  
22 WHICH IS INTERESTING.

23 DR. STEWARD: THANK YOU FOR THAT. SO I  
24 THINK WE'VE EXHAUSTED THIS AND READY FOR A VOTE, I  
25 THINK.

**BARRISTERS' REPORTING SERVICE**

1 MR. HARRISON: JUST AS A REMINDER, THE  
2 MOTION IS NOT TO FUND APPLICATION 7078.

3 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

4 DR. DULIEGE: I AGREE.

5 MS. BONNEVILLE: MARCY FEIT. MICHAEL  
6 GOLDBERG.

7 MR. GOLDBERG: YES.

8 MS. BONNEVILLE: STEPHEN JUELSGAARD.

9 DR. JUELSGAARD: YES.

10 MS. BONNEVILLE: FRANCISCO PRIETO.

11 DR. PRIETO: AYE.

12 MS. BONNEVILLE: ROBERT QUINT.

13 DR. QUINT: YES.

14 MS. BONNEVILLE: AL ROWLETT.

15 MR. ROWLETT: YES.

16 MS. BONNEVILLE: JOAN SAMUELSON. JEFF  
17 SHEEHY.

18 MR. SHEEHY: YES.

19 MS. BONNEVILLE: OS STEWARD.

20 DR. STEWARD: YES.

21 MS. BONNEVILLE: JONATHAN THOMAS.

22 CHAIRMAN THOMAS: YES.

23 MS. BONNEVILLE: ART TORRES.

24 MR. TORRES: NO.

25 MS. BONNEVILLE: DIANE WINOKUR.

**BARRISTERS' REPORTING SERVICE**

1 MS. WINOKUR: YES.

2 MR. HARRISON: MOTION CARRIES.

3 MR. SHEEHY: OKAY. SO I THINK WE'RE DUE  
4 FOR THE OMNIBUS MOTION NEXT AND PUBLIC COMMENT  
5 AROUND THE OMNIBUS MOTION, BUT I'M TOLD BY STAFF  
6 THAT WE NEED TO BREAK FOR LUNCH. YOU WANT TO DO THE  
7 OMNIBUS MOTION FIRST? SOMEBODY TELL ME HOW WE  
8 SHOULD DO IT.

9 MR. HARRISON: I'D SUGGEST SO THAT THE  
10 BOARD CAN HEAR PUBLIC COMMENT WHILE YOU'RE EATING  
11 LUNCH. IF YOU MAKE THE MOTION NOW AND THEN WE CAN  
12 GET LUNCH AND CAN COME BACK AND HEAR PUBLIC COMMENT  
13 BEFORE VOTING.

14 MR. SHEEHY: SO I NEED A MOTION FROM  
15 SOMEONE WHO'S UNCONFLICTED TO MOVE ALL THE  
16 APPLICATIONS -- TO FUND ALL THE APPLICATIONS IN TIER  
17 I AND TO NOT FUND ANY OF THE REMAINING APPLICATIONS.  
18 AM I CORRECT?

19 MR. TORRES: SO MOVED.

20 MR. GOLDBERG: I SECOND.

21 MR. SHEEHY: AND THEN WE'LL TAKE LUNCH AND  
22 TAKE ANY PUBLIC COMMENT THAT'S OUT THERE. SO LET'S  
23 GO GET LUNCH AND WE'LL BE BACK SHORTLY.

24 (A RECESS WAS TAKEN.)

25 MR. SHEEHY: SO IS EVERYBODY BACK IN THEIR

**BARRISTERS' REPORTING SERVICE**

1 PLACES READY TO GO? I NEED TO MAKE A CLARIFYING  
2 POINT. SENATOR TORRES, ARE YOU ON THE LINE? I NEED  
3 TO MAKE A CLARIFICATION TO THE MOTION WHICH I  
4 BELIEVE WAS MADE BY SENATOR TORRES AND SECONDED BY  
5 MICHAEL GOLDBERG. AND THAT IS THAT IT IS TO FUND  
6 ALL THE APPLICATIONS THAT WE RECOMMENDED FOR FUNDING  
7 AND TO NOT FUND ANY OF THE OTHER APPLICATIONS EXCEPT  
8 FOR --

9 MR. HARRISON: 7201.

10 MR. SHEEHY: -- 7201 WHICH HAS BEEN  
11 REMOVED FROM CONSIDERATION IN THIS ROUND. SO IF  
12 THAT'S OKAY WITH BOTH THE MAKER AND THE SECOND.

13 MR. GOLDBERG: MR. CHAIRMAN, AFTER  
14 EXTENSIVE CONSULTATION WITH MYSELF, I'VE DECIDED  
15 THAT'S ACCEPTABLE.

16 MR. SHEEHY: OKAY. WE'RE GOING TO NEED  
17 HIM FOR THE VOTE ANYWAY, RIGHT. SO SHOULD WE --  
18 YEAH. IS THERE ANY PUBLIC COMMENT ON THE MOTION?

19 DR. JUELSGAARD: SO JUST FOR CLARIFICATION  
20 SAKE, JUST WHICH APPLICATIONS ARE SUBJECT TO THIS  
21 MOTION? WHICH ARE WE VOTING TO FUND? IF WE CAN  
22 JUST HAVE THOSE NUMBERS FOR ME, PLEASE.

23 DR. SAMBRANO: THE NUMBERS FOR  
24 APPLICATIONS THAT ARE IN TIER I AND THAT WERE IN THE  
25 TIER II AND WERE APPROVED, THEY START WITH 6924,

**BARRISTERS' REPORTING SERVICE**

1 6965, 7438, 7067, 7281, 6945. AND THE TOTAL BUDGET  
2 FOR ALL OF THOSE IS 61.128 MILLION.

3 MR. SHEEHY: WE HAD BUDGETED FOR THIS A  
4 HUNDRED MILLION.

5 DR. SAMBRANO: CORRECT.

6 MR. SHEEHY: SO WE'RE UP TO 270, STEVE.

7 DR. JUELSGAARD: THANK YOU.

8 MR. SHEEHY: SENATOR TORRES.

9 MR. TORRES: YES, I'M HERE.

10 MR. SHEEHY: GREAT. SO WILL YOU ACCEPT AN  
11 AMENDMENT TO YOUR MOTION TO EXCLUDE FROM  
12 CONSIDERATION APPLICATION --

13 DR. SAMBRANO: 7201.

14 MR. SHEEHY: -- 7201 WHICH WAS PULLED FROM  
15 CONSIDERATION?

16 MR. TORRES: REMIND ME AGAIN WHAT THE  
17 NATURE AND THE SUBJECT WAS.

18 MR. SHEEHY: IT WAS THE CARDIOVASCULAR  
19 DISEASE GRANT THAT WAS SCORED AT THE VERY BOTTOM OF  
20 THE PILE, AND THAT'S BEEN REMOVED FROM  
21 CONSIDERATION.

22 MR. TORRES: YES. I SUPPORT THAT REMOVAL  
23 FOR RECONSIDERATION, AND I WILL SO AMEND MY MOTION.

24 MR. GOLDBERG: SECOND.

25 MR. SHEEHY: THE SECOND HAD. SO I THINK

**BARRISTERS' REPORTING SERVICE**

1 WE'RE READY FOR A VOTE. AND DOES THE COUNSEL NEED  
2 TO REMIND US AS TO -- I THINK ASKED FOR PUBLIC  
3 COMMENT, BUT I'LL ASK FOR IT AGAIN. ANY PUBLIC  
4 COMMENT? SEEING NONE.

5 MR. HARRISON: JUST A REMINDER. IF YOU  
6 HAVE AN APPLICATION LISTED ON THE CONFLICT SHEET IN  
7 FRONT OF YOU, YOU SHOULD VOTE YES OR NO ON THE  
8 MOTION EXCEPT WITH RESPECT TO THOSE APPLICATIONS FOR  
9 WHICH YOU HAVE A CONFLICT OF INTEREST.

10 MR. SHEEHY: COULD WE CALL THE ROLL,  
11 PLEASE.

12 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

13 DR. DULIEGE: YES, EXCEPT FOR THOSE WITH  
14 WHICH I HAVE A CONFLICT.

15 MS. BONNEVILLE: MARCY FEIT.

16 MS. BONNEVILLE: MICHAEL GOLDBERG.

17 MR. GOLDBERG: YES.

18 MS. BONNEVILLE: STEPHEN JUELSGAARD.

19 MR. JUELSGAARD: YES.

20 MS. BONNEVILLE: SHERRY LANSING.

21 MS. BONNEVILLE: FRANCISCO PRIETO.

22 DR. PRIETO: YES, EXCEPT FOR THOSE WITH  
23 WHICH I HAVE A CONFLICT.

24 MS. BONNEVILLE: ROBERT QUINT.

25 DR. QUINT: YES.

**BARRISTERS' REPORTING SERVICE**

1 MS. BONNEVILLE: AL ROWLETT.

2 MR. ROWLETT: YES, EXCEPT FOR THOSE WITH  
3 WHICH I HAVE A CONFLICT.

4 MS. BONNEVILLE: JOAN SAMUELSON. JEFF  
5 SHEEHY.

6 MR. SHEEHY: YES, EXCEPT FOR THOSE WITH  
7 WHICH I HAVE A CONFLICT.

8 MS. BONNEVILLE: OSWALD STEWARD.

9 DR. STEWARD: YES.

10 MS. BONNEVILLE: JONATHAN THOMAS.

11 CHAIRMAN THOMAS: YES.

12 MS. BONNEVILLE: ART TORRES.

13 MR. TORRES: AYE.

14 MS. BONNEVILLE: DIANE WINOKUR.

15 MS. WINOKUR: YES.

16 MR. HARRISON: MOTION CARRIES.

17 MR. SHEEHY: PASS IT BACK TO CHAIRMAN  
18 THOMAS.

19 CHAIRMAN THOMAS: THANK YOU, MR. SHEEHY.

20 MR. TORRES: WELL DONE, MR. SHEEHY.

21 CHAIRMAN THOMAS: YES. I WOULD LIKE TO  
22 ECHO THAT. THIS WAS A MAJOR TEST OF OUR NEW  
23 PROTOCOL WHICH WE PUT IN PLACE IN JANUARY OR I  
24 SHOULD SAY MARCH OF THIS YEAR, AND I THINK THE  
25 PROCESS WENT EXTREMELY WELL. AND I'D JUST LIKE TO



**BARRISTERS' REPORTING SERVICE**

1     THANK MR. SHEEHY AND DR. STEWARD FOR THEIR VERY ABLE  
2     STEWARDSHIP, IF YOU WILL, OF THAT PROCESS. I THINK  
3     WE GOT A VERY GOOD RESULT AFTER A ROBUST DISCUSSION  
4     AND CONSIDERATION OF ALL ISSUES. SO THANK YOU TO  
5     BOTH OF YOU GENTLEMEN.

6             MR. SHEEHY: COULD I MAKE A COMMENT FOR  
7     THE RECORD. JUST A CLARIFICATION ABOUT THE ROLE OF  
8     EMBRYONIC STEM CELLS. SO THESE PRODUCTS ARE VERY  
9     READY FOR TRANSLATION INTO HUMAN BEINGS AT THIS  
10    POINT. AND, FRANKLY, I THINK WE ARE ANTICIPATING IN  
11    THE NEXT YEAR MOVING INTO PHASE I TRIALS WITH AT  
12    LEAST ONE AND POSSIBLY TWO EMBRYONIC STEM  
13    CELL-DERIVED PRODUCTS.

14            THE POINT HERE IN THE APPLICATION WHERE  
15    THIS WAS DISCUSSED WAS THAT IN A DISEASE THAT WAS  
16    NOT LIFE THREATENING, THE CRITICAL PATHWAY FOR  
17    APPROVAL WAS LIKELY TO TAKE A MUCH LONGER TIME. AND  
18    WE WANTED TO FOCUS ON MORE DISEASES AND CONDITIONS  
19    WHERE WE COULD HAVE A MAJOR IMPACT. BUT EMBRYONIC  
20    STEM CELLS, I THINK, AS ANYONE WHO LOOKS AT OUR  
21    PORTFOLIO WOULD ACKNOWLEDGE, ARE VERY, VERY RIPE FOR  
22    TRANSLATION AT THIS POINT.

23            CHAIRMAN THOMAS: THANK YOU, JEFF.

24            OKAY. WE ARE GOING TO GO -- AT THIS POINT  
25    WE'RE WAITING ON ONE MEMBER TO GET BACK FOR A

## BARRISTERS' REPORTING SERVICE

1 QUORUM. SO WAS GOING TO PROPOSE THAT WE PROCEED TO  
2 THE PRESIDENT'S REPORT, BUT THE PRESIDENT APPEARS TO  
3 BE OUT OF THE ROOM AT THE MOMENT. IF YOU COULD  
4 PERHAPS SUMMONS HIM, AMY. THANK YOU VERY MUCH.

5 IN LIEU OF THAT AT THE MOMENT, LET'S  
6 PROCEED. WE HAVE IN THE ROOM KEVIN MCCORMACK, WHO'S  
7 NOW GOING TO GIVE US THE COMMUNICATIONS REPORT.

8 MR. MC CORMACK: CHAIRMAN THOMAS, MEMBERS  
9 OF THE BOARD, MEMBERS OF THE PUBLIC, COLLEAGUES,  
10 IT'S BEEN AWHILE SINCE WE TALKED. I WANTED TO GO  
11 BACK A FEW MONTHS TO TALK ABOUT AN EVENT THAT WE  
12 HELD IN OCTOBER WHICH WAS A TOWN HALL FORUM ON ALL  
13 THE RESEARCH THAT'S GOING ON INTO HIV AND AIDS AND  
14 CURING IT. AND IT WAS ORGANIZED IN PART WITH OUR  
15 COLLEAGUE JEFF SHEEHY AND BROUGHT TOGETHER PEOPLE  
16 FROM CIRM, FROM UCSF, FROM THE GLADSTONE INSTITUTES,  
17 AND VARIOUS OTHER RESEARCHERS WHO ARE DOING SOME  
18 REALLY INTERESTING WORK, INCLUDING PEOPLE FROM  
19 CALIMMUNE WHO OBVIOUSLY ARE FUNDING A CLINICAL TRIAL  
20 IN THIS WORK.

21 IT WAS AN EXCELLENT RECEPTION. WE HAD  
22 MORE THAN A HUNDRED PEOPLE THERE, I THINK, FROM  
23 VARIOUS DIFFERENT GROUPS, A LOT OF PATIENT ADVOCATES  
24 AND ACTIVISTS. AND IT WAS TREMENDOUSLY SUCCESSFUL.  
25 I THINK IT REPRESENTS A NEW WAY OF REACHING OUT TO

**BARRISTERS' REPORTING SERVICE**

1 THE COMMUNITY AND GETTING OUR MESSAGE OUT DIRECTLY  
2 AND TALKING TO PEOPLE ABOUT THE WORK THAT WE'RE  
3 DOING AND THE WORK THAT WE'RE FUNDING AND IMPACT IT  
4 CAN HAVE.

5 IT WAS WELL RECEIVED, NOT ONLY HERE, BUT  
6 ALSO AROUND -- WE HEARD FROM A NUMBER OF OTHER  
7 PEOPLE AROUND THE STATE. SO WE'RE GOING TO BE  
8 HOLDING A SIMILAR EVENT IN LOS ANGELES IN EARLY  
9 2014. WE ALSO SHOT A VIDEO OF THE ENTIRE THING, AND  
10 THAT'S UP ON YOUTUBE, AND THAT'S GETTING A GOOD  
11 RESPONSE AS WELL.

12 LAST WEEK MANY OF US WERE AT THE WORLD  
13 STEM CELL SUMMIT IN SAN DIEGO. IT WAS A GREAT  
14 EVENT. WE HAD A LOT OF INTEREST FROM DIFFERENT  
15 AREAS. THERE WERE A NUMBER OF DIFFERENT  
16 PRESENTATIONS AND PANEL DISCUSSIONS AROUND THE  
17 EVENT, INCLUDING ON THE OPENING NIGHT, IN FACT, WE  
18 HAD A PATIENT ADVOCATE SUMMIT. AND IT WAS REALLY  
19 WELL ATTENDED. IT WAS A PACKED ROOM, GREAT  
20 QUESTIONS, GREAT PANEL DISCUSSION, AND I THINK IT'S  
21 ANOTHER WAY OF REACHING OUT TO AN AUDIENCE AND  
22 TALKING TO THEM DIRECTLY. WE CAN BYPASS THE NORMAL  
23 FILTERS AND REACH OUT AND TALK TO THEM ABOUT THE  
24 THINGS THAT WE THINK ARE PARTICULARLY IMPORTANT.

25 AND A NUMBER OF THINGS CAME UP AT THE

## BARRISTERS' REPORTING SERVICE

1 MEETING. ONE WAS DISCUSSION ABOUT CREATING A  
2 PATIENT ADVOCATE NETWORK BECAUSE MANY OF THE GROUPS  
3 THAT WE WORK WITH ARE VERY PASSIONATE ABOUT WHAT  
4 THEY DO, BUT THEY'RE QUITE SMALL. SO BY CREATING A  
5 NETWORK, WE MIGHT BE ABLE TO HELP LINK THIS TOGETHER  
6 SO THAT THEY CAN SHARE RESOURCES AND SKILLS AND GET  
7 A BETTER UNDERSTANDING OF HOW THEY AS AN OVERALL  
8 GROUP CAN BE MORE EFFECTIVE IN WHAT THEY DO.

9 AND WE'RE ALSO COMMITTED AT THE AGENCY TO  
10 CREATING A PATIENT ADVOCATE TOOLBOX AS PART OF THAT  
11 NETWORK. AND THAT WILL BE A PLACE WHERE WE CAN PUT  
12 INFORMATION ABOUT HOW TO CONTACT OTHERS, HOW TO  
13 CREATE -- HOW TO BE A MORE EFFECTIVE ADVOCATE,  
14 INCLUDING THINGS LIKE COMMUNICATION SKILLS,  
15 PRESENTATION SKILLS, HOW TO DO MEDIA INTERVIEWS, HOW  
16 TO TALK ABOUT STEM CELLS, SOME FAIRLY BASIC THINGS.  
17 THERE WAS A GREAT DEAL OF INTEREST IN THAT, SO  
18 THAT'S SOMETHING WE'RE GOING TO BE EXPLORING AS WE  
19 MOVE INTO 2014.

20 ONE OF THE OTHER THINGS WE DID AT THE  
21 WORLD STEM CELL SUMMIT WAS LAUNCH A NEW ONLINE  
22 CAMPAIGN CALLED CHAMPIONS. AND IT WAS ACTUALLY  
23 FORMER COLLEAGUE LEEZA GIBBONS WHO GAVE US THE IDEA  
24 FOR THIS. WE'VE BEEN PLAYING AROUND WITH A NUMBER  
25 OF DIFFERENT PERMUTATIONS ABOUT HOW TO DO SOMETHING

## BARRISTERS' REPORTING SERVICE

1 SIMPLE ONLINE THAT ENGAGED PEOPLE THAT MADE THEM  
2 FEEL INVOLVED AND ALSO GAVE THEM A CHANCE TO TALK  
3 ABOUT WHAT IT IS THAT GOT THEM INVOLVED IN THIS  
4 CAUSE AND WHY THEY CARE.

5 SO WE CAME UP WITH THE STEM CELL CHAMPION  
6 WHERE WE'LL USE SHEETS LIKE THIS THAT SAYS I'M A  
7 STEM CELL CHAMPION BECAUSE, AND THE INDIVIDUAL THEN  
8 FILLS THAT OUT. IT GIVES PEOPLE A CHANCE TO TALK  
9 ABOUT WHY THEY GOT INVOLVED AND WHAT IT IS THAT  
10 THEY'RE PASSIONATE ABOUT. AND EVERYONE HAS A STORY,  
11 AND I THINK THIS IS A WAY OF BRINGING THOSE STORIES  
12 OUT. AND WE'RE POSTING THOSE. AMY ADAMS CREATED A  
13 SPECIAL PAGE ON OUR WEBSITE SO WE NOW HAVE A SPECIAL  
14 CHAMPIONS PAGE WHERE WE TALK ABOUT THE WORK THAT  
15 WE'RE DOING AND ALSO FEATURE THE PHOTOS OF ALL THE  
16 DIFFERENT PEOPLE.

17 OVER TIME WE'RE GOING TO BE CREATING A  
18 VIDEO FEATURING ALL OF THESE PEOPLE. EACH PERSON --  
19 WE HAVE JEANNE LORING HERE. EVERYONE WAS INVOLVED  
20 HAD A DIFFERENT STORY, A DIFFERENT REASON FOR BEING  
21 THERE. THERE WAS EVEN THIS NICE LADY. I HAVE NO  
22 IDEA WHO SHE IS, BUT SHE WAS QUITE HAPPY TO BE PART  
23 OF IT AS WELL.

24 WE'RE GOING TO BE DOING A COUPLE MORE  
25 GOOGLE HANGOUTS. WE DID ONE ON ALS EARLIER THIS

## BARRISTERS' REPORTING SERVICE

1 YEAR. AND THESE ARE ONLINE, INTERACTIVE WEBINARS,  
2 KIND OF A CHANCE FOR ORDINARY PEOPLE TO CHAT WITH  
3 SOME OF THE LEADING RESEARCHERS AND CIRM STAFF AND  
4 FIND OUT WHAT'S GOING ON IN THEIR PARTICULAR  
5 DISEASE. WE'RE DOING ONE ON DECEMBER 19TH. THAT'S  
6 NEXT THURSDAY. AND IT FEATURES DR. JOSEPH WU FROM  
7 STANFORD, DR. CATHY PRIEST, ONE OF OUR SCIENCE  
8 OFFICERS, AND FRED LESIKER, WHO IS A PATIENT WHO  
9 UNDERWENT SOME STEM CELL THERAPY THROUGH DR. MARBAN.  
10 AND OBVIOUSLY THAT'S A CLINICAL TRIAL THAT WE'RE  
11 FUNDING NOW FOR THE NEXT PHASE.

12 SO NORMALLY THESE ARE REALLY GREAT  
13 OPPORTUNITIES, AGAIN, TO REACH OUT TO A MUCH MORE  
14 TARGETED AUDIENCE. AND PEOPLE ARE INTERESTED,  
15 SPECIFICALLY IN THIS CASE, HEART DISEASE SO THAT  
16 THEY CAN FIND ABOUT WHAT'S GOING ON, THE LATEST  
17 RESEARCH. AND THEY'RE ALWAYS VERY INTERESTING AND  
18 GET GOOD QUESTIONS. AND THE BEAUTY OF USING A  
19 GOOGLE HANGOUT IS THAT IT'S FREE FOR EVERYONE  
20 INVOLVED. ONCE IT'S FINISHED, AND THEY LAST FOR  
21 ABOUT AN HOUR, THAT THING GETS POSTED STRAIGHT AWAY  
22 TO YOUTUBE. SO IT THEN BECOMES A RESOURCE THAT YOU  
23 CAN USE OVER AND OVER AGAIN SO THAT ORGANIZATIONS OR  
24 INDIVIDUALS WHO WEREN'T PART OF IT CAN THEN USE THAT  
25 AS A RESOURCE AND A REFERENCE POINT.

**BARRISTERS' REPORTING SERVICE**

1           AND WE'RE GOING TO BE HOLDING ANOTHER ONE  
2           IN JANUARY. THAT'S DIABETES WITH BOARD MEMBER DR.  
3           PRIETO HERE, SOMEONE FROM VIACYTE, AND CHRIS STIEHL,  
4           WHO'S A GREAT CHAMPION OF THE AGENCY, HAS BEEN  
5           HEAVILY INVOLVED IN A LOT OF WHAT WE DO, AND HAS  
6           BEEN BATTLING TYPE 1 DIABETES FOR MANY, MANY YEARS.  
7           CHRIS WILL BE A GREAT ADDITION TO THAT PANEL. WE'RE  
8           ALSO THINKING ABOUT A NUMBER OF OTHER ONES NEXT  
9           YEAR. ULTIMATELY THE GOAL IS TO HAVE A GOOGLE  
10          HANGOUT IN PRETTY NEARLY EVERY DISEASE THAT WE'RE  
11          FUNDING. AND OBVIOUSLY BY THE TIME WE GET TO THE  
12          END, IT WILL BE LIKE PAINTING THE GOLDEN GATE  
13          BRIDGE. WE'LL HAVE TO GO BACK TO THE BEGINNING  
14          BECAUSE EVERYTHING IS MOVING ALONG SO FAST.

15                 AND FINALLY AND SADLY, WE'RE SAYING  
16                 GOODBYE TO AMY ADAMS, WHO HAS BEEN WITH THE AGENCY  
17                 FOR A LITTLE OVER FIVE YEARS AND HAS DONE  
18                 EXTRAORDINARY WORK. AMY IS LEAVING US TO GO TO  
19                 STANFORD TO TAKE UP A GREAT POSITION THERE. IT'S A  
20                 WONDERFUL OPPORTUNITY FOR HER AND IT'S SEVEN MILES  
21                 AWAY FROM HER CHILDREN. SO NO MATTER WHAT I TRIED  
22                 TO BRIBE HER WITH, NOTHING WOULD INDUCE HER TO STAY.

23                         EVERY TIME YOU LOOK AT THE WEBSITE, THAT'S  
24                         AMY. EVERY TIME YOU LOOK AT ANYTHING THAT WE DO  
25                         ONLINE, THAT'S AMY. SHE'S BEEN A TREMENDOUS PART OF

**BARRISTERS' REPORTING SERVICE**

1 EVERYTHING WE'VE DONE, AND WE'RE GOING TO MISS HER  
2 TERRIBLY. SO THANK YOU, AMY.

3 (APPLAUSE.)

4 MR. SHEEHY: I JUST WANT TO REALLY  
5 RECOGNIZE AMY FOR HER INCREDIBLE SKILL AND  
6 LEADERSHIP AS A COMMUNICATIONS PROFESSIONAL IN  
7 REALLY MANAGING THIS TRANSITION THAT'S GONE AT THE  
8 SAME TIME CIRM HAS HAPPENED, WHICH IS INTO THE  
9 SOCIAL MEDIA LANDSCAPE. AND IT'S REQUIRED THE  
10 UPTAKE AND USE OF NEW TOOLS THAT CREATE VERY DYNAMIC  
11 RELATIONSHIPS WITH STAKEHOLDER COMMUNITIES. WHAT  
12 SHE'S DONE IS CREATED A REALLY UNBELIEVABLE MODEL OF  
13 HOW TO USE THESE TOOLS TO REALLY GIVE US THE TYPE OF  
14 CONTACT AND OUTREACH AND COMMUNICATION WITH OUR  
15 STAKEHOLDER COMMUNITIES THAT I DON'T SEE HAPPENING  
16 IN VERY MANY OTHER INSTITUTIONS. SHE'S REALLY DONE  
17 A PHENOMENAL JOB AND IS A REAL LEADER IN HER FIELD.  
18 AND IT'S A TERRIBLE LOSS FOR US.

19 MR. MC CORMACK: SO AS YOU LOOK AT THE  
20 WEBSITE OVER THE NEXT FEW WEEKS, IF YOU NOTICE  
21 PROBLEMS OR FLAWS, BLAME AMY. SHE LEFT. DON'T  
22 EMAIL HER. AMY HAS BEEN SLOWLY KIND OF GOING  
23 THROUGH ALL THE THINGS THAT SHE DOES AND EXPLAINING  
24 TO DON AND TODD AND MYSELF ON THE TEAM WHAT IT IS  
25 WE'RE GOING TO HAVE TO PICK UP. AND EVERY DAY WE'RE



**BARRISTERS' REPORTING SERVICE**

1 JUST STANDING THERE SHAKING OUR HEADS. THE LEVEL OF  
2 DETAIL AND THE AMOUNT OF WORK SHE'S BEEN DOING IS  
3 EXTRAORDINARY. SO WE CERTAINLY WILL MISS HER.

4 MR. TORRES: MR. CHAIRMAN.

5 CHAIRMAN THOMAS: YES, SIR.

6 MR. TORRES: I HAVE DAILY CONTACT WITH AMY  
7 AT THE OFFICE, AND I JUST WANT TO ECHO THE WORDS OF  
8 JEFF AND KEVIN. BUT ALSO PEOPLE DON'T REALIZE WHAT  
9 AN INCREDIBLE HUMAN BEING SHE IS. SHE GIVES SO MUCH  
10 TO CHARITABLE WORK, TO PEOPLE FROM UNDERDEVELOPED  
11 COUNTRIES THAT SOMETIMES PEOPLE DON'T KNOW ABOUT,  
12 BUT I KNOW HER PASSION. SO WE WILL DEFINITELY MISS  
13 HER.

14 DR. DULIEGE: IF I MAY BRIEFLY ADD TO  
15 EVERYTHING THAT WAS SAID ABOUT AMY AND THE STAFF OF  
16 CIRM. YOU MAY NOT HAVE HAD A CHANCE TO READ WHAT I  
17 WROTE, AND MY COMMENT WAS I WANT TO SUPPORT STEM  
18 CELL BECAUSE THE CIRM STAFF IS FANTASTIC AND IS  
19 COOL, AND REALLY APPLIES TO THE ENTIRE CIRM STAFF,  
20 BUT PARTICULARLY ACTUALLY, AMONG OTHERS, FOR YOU,  
21 AMY, AS YOU ARE JUST LEAVING.

22 I ALSO WANTED TO MAKE A FEW COMMENTS ABOUT  
23 THE WORLD STEM CELL SUMMIT. I HAD THE PRIVILEGE TO  
24 ATTEND IT FOR THE FIRST TIME. AND NOT ONLY WAS IT,  
25 AS YOU SAID THIS MORNING OR YESTERDAY, AN INCREDIBLE

**BARRISTERS' REPORTING SERVICE**

1 MEETING WHERE SCIENTISTS MEET WITH PATIENTS, PATIENT  
2 ADVOCATES, JOURNALISTS, AND SO FORTH, BUT I WAS  
3 REALLY IMMENSELY APPRECIATIVE OF THE FACT THAT THE  
4 CIRM STAFF WAS EVERYWHERE AND REPRESENTING CIRM SO  
5 WELL. NOT ONLY THERE WAS A BOOTH THAT WAS EXTREMELY  
6 WELL ATTENDED, BUT EVERYONE WHO WAS THERE  
7 PARTICIPATED IN SEVERAL MEETINGS, PANEL SESSIONS OF  
8 VERY HIGH QUALITY. I JUST WANTED EVERYBODY TO KNOW  
9 THAT.

10 ALAN, YOU DID A SPECTACULAR PLENARY  
11 SESSION, THE KEYNOTE ADDRESS ABOUT THE FUTURE OF  
12 STEM CELL RESEARCH. AS ALWAYS, VERY INSPIRING.

13 AND, J.T., YOU WERE IN THAT PARTICULAR  
14 PANEL THAT WAS MEMORABLE AMONG OTHER  
15 PHILANTHROPISTS, AND YOU REMINDED EVERYBODY THAT  
16 CIRM WAS AN INCREDIBLE PHILANTHROPIC ADVENTURE AS  
17 WELL. SO FOR ALL OF THAT, THANK YOU SO MUCH.

18 CHAIRMAN THOMAS: THANK YOU. ANY OTHER  
19 COMMENTS? THANK YOU VERY MUCH, KEVIN, FOR YOUR FINE  
20 WORK. AND DON AND TODD AND OBVIOUSLY AMY. I ECHO  
21 EVERYTHING. YOU KNOW MY REACTION WHEN I HEARD YOU  
22 WERE LEAVING WAS NOT VERY POSITIVE, LET'S PUT IT  
23 THAT WAY. REALLY GOING TO MISS YOU. THANK YOU VERY  
24 MUCH.

25 HAVING YOU AND LYNN BOTH GO AT THE SAME

**BARRISTERS' REPORTING SERVICE**

1 TIME, VERY, VERY DIFFICULT SHOES TO FILL. SO THANK  
2 YOU AGAIN TO BOTH OF YOU.

3 PROCEED NOW TO THE PRESIDENT'S REPORT.

4 DR. TROUNSON: SO AS I USUALLY DO OR I  
5 HAVE DONE EVER SINCE I'VE BEEN HERE, SEVERAL OF THE  
6 PAPERS WHICH HAVE APPEARED IN THIS LAST MONTH HAVE  
7 BEEN WHAT I THINK ARE EXTRAORDINARY. SO THE FIRST  
8 ONE COMES FROM THE SALK INSTITUTE AND RUSTY GAGE'S  
9 LAB, SHOWING THAT IN THE BRAIN THERE ARE MOSAIC COPY  
10 VARIATION AMONG NEURONS. AND THIS IS REALLY FOR THE  
11 FIRST TIME BECAUSE THEY WERE STUDYING SINGLE CELL  
12 NEURONS FOR THEIR GENOME. AND IT'S BEEN PRETTY  
13 DIFFICULT TO DO THAT IN SINGLES CELLS, BUT THEY  
14 MANAGED TO DO IT.

15 SO THEY DID SINGLE CELL GENOMICS USED TO  
16 MAP NEURONS FROM IPS CELLS IN POSTMORTEM HUMAN  
17 BRAIN. AND THEY FOUND ANEUPLOIDY, WHICH IS MIXED  
18 CHROMOSOME NUMBERS, NOT THE CORRECT NUMBER THAT YOU  
19 WOULD NORMALLY EXPECT, AND OTHER COPY NUMBER  
20 VARIATIONS OF GENES AS WELL AS EUPLOID. THAT'S  
21 NORMAL NEURON, NORMAL CHROMOSOME NUMBERS IN THE  
22 NEURONS. IPS CELL NEURONS HAD LARGER COPY NUMBER  
23 VARIATIONS THAN THE FIBROBLASTS THEY MADE THEM FROM,  
24 BUT ALSO FROM THE NEURAL PROGENITORS WHICH GO ON TO  
25 FORM NEURONS.

**BARRISTERS' REPORTING SERVICE**

1           AND 13 TO 41 PERCENT OF THESE ALL HAD ONE  
2 MEGABASE SCALE DELETION. IMPORTANT PART OF THIS HAS  
3 PROBABLY GOT TO DO WITH THE FUNCTIONING OF NEURONS,  
4 I THINK. AND THAT'S AN IMPORTANT QUESTION BECAUSE  
5 THE NEURONS REALLY ARE A VERY DIVERSE FUNCTIONAL  
6 SOURCE OF CELLS, PARTICULARLY IN THE CENTRAL NERVOUS  
7 SYSTEM. AND HOW DO THEY GET TO BE SO DIFFERENT?  
8 PARTLY MAYBE BECAUSE THEY TAKE ON THESE VARIATIONS  
9 AND MIX UP THE GENOME SO THAT THEY'RE ACTUALLY  
10 DIFFERENT ONE FROM ANOTHER.

11           SO IF YOU LOOK AT THIS PICTURE HERE, THESE  
12 ARE THE NEURONS THAT HAVE BEEN MADE FROM THE IPS  
13 CELLS. AND THE TOP IS WHERE YOU'VE GOT MULTIPLE  
14 COPIES OF THE GENES. YOU CAN SEE THAT THEY'VE GOT  
15 MULTIPLE COPIES OF THE GENES HERE. AND ON THE  
16 BOTTOM PART YOU'VE GOT DELETIONS OF THEM. AND THESE  
17 ONES ARE THE NEURONAL PROGENITOR CELLS THAT GO TO  
18 FORM THOSE SO THAT THESE ERRORS ARE NOT -- WHAT WE  
19 NORMALLY CALL ERRORS ARE NOT PRESENT IN THESE NEURAL  
20 PROGENITOR CELLS, NOR ARE THEY PRESENT REALLY IN THE  
21 FIBROBLASTS THAT THEY WERE MADE OF.

22           IF YOU LOOK OVER HERE, YOU CAN SEE THIS  
23 GRAPH. THIS IS THE SIZE OF THE DELETION. IN THE  
24 NEURONS THAT ARE IN THE BRAIN, THESE ARE THE  
25 NEURONS, FUNCTIONAL NEURONS, YOU CAN SEE THAT

**BARRISTERS' REPORTING SERVICE**

1 THEY'VE GOT VERY LARGE DELETIONS; WHEREAS, THE  
2 NEURAL PROGENITORS LESS SO AND FIBROBLASTS FROM  
3 WHICH THEY'RE MADE LESS SO AS WELL.

4 IF YOU LOOK IN THE ACTUAL HUMAN BRAIN, AND  
5 THEY'VE TAKEN ON THE LEFT-HAND SIDE AS YOU LOOK AT  
6 THIS, THE LEFT-HAND SIDE, FIVE NEURONS TAKEN FROM A  
7 MALE AND ON THE RIGHT-HAND SIDE FIVE NEURONS TAKEN  
8 FROM A FEMALE. ODDLY ENOUGH IN THIS PICTURE, AND I  
9 REALLY DIDN'T GET THE SIGNIFICANCE OF THIS, THAT  
10 THERE IS MULTIPLICATIONS OF GENES AMONGST THESE FIVE  
11 NEURONS HERE SHOWN ON THE UPPER PART OF THESE  
12 PICTURES HERE. THESE ARE ALL ADDITIONAL COPIES OF  
13 THE GENE. AND YOU CAN SEE THE DELETIONS. THEY  
14 APPEAR UNDERNEATH.

15 SO IN THE NEURONS OF THE HUMAN BRAIN, YOU  
16 CAN SEE BOTH MULTIPLICATIONS AND DELETIONS AMONGST  
17 THE NEURONS THAT ARE THERE. AND IT'S REALLY VERY,  
18 VERY FREQUENT. SO I THINK IT IS RELATED TO THEIR  
19 FUNCTIONAL HETEROGENEITY, AND IT MAKES AN  
20 INTERESTING PERSPECTIVE ABOUT HOW YOU WOULD EXPECT  
21 THOSE CELLS TO FORM IF YOU'RE TRYING TO GROW THEM IN  
22 CULTURE. HOW ARE YOU GOING TO GET THEM TO ADD OR  
23 DELETE IF THIS IS PART OF THEIR FUNCTIONAL CAPACITY?  
24 IT'S SOMETHING THAT WE'RE GOING TO HAVE TO START TO  
25 LEARN MORE ABOUT, BUT CLEARLY THERE ARE A LOT OF

## BARRISTERS' REPORTING SERVICE

1 ADDITIONS AND SUBTRACTIONS SHOWN HERE. THIS IS THE  
2 AVERAGE NUMBER OF DELETIONS AND ADDITIONS HERE IN  
3 BOTH THESE SETS OF SAMPLES.

4 SO IT IS MUCH MORE COMPLEX, I THINK, THAN  
5 WHAT WE THOUGHT. AND THIS JUST ADDS ANOTHER DEGREE  
6 OF COMPLEXITY TO THE WHOLE STORY. BUT I DO THINK IT  
7 MIGHT BE RELATED TO THE FUNCTIONALITY OF THESE  
8 CELLS. AND SO IF IT ISN'T, THEN IT SEEMS VERY ODD  
9 THAT IT'S HAPPENING IN THE STAGES WHERE YOU MOVE  
10 FROM A PROGENITOR IN THE BRAIN TO A FUNCTIONAL  
11 NEURON.

12 THE NEXT PAPER THAT I WANTED TO SORT OF  
13 DRAW YOUR ATTENTION TO WAS A PAPER BY JUAN CARLOS  
14 BELMONTE'S LAB AT THE SALK INSTITUTE, AGAIN,  
15 PUBLISHED IN *NATURE*, WHERE THEY SHOWED DIRECTED  
16 DIFFERENTIATION OF HUMAN PLURIPOTENTIAL CELLS TO  
17 URETERIC BUD KIDNEY PROGENITOR CELLS. SO GROWING A  
18 NEW KIDNEY OR REGENERATING A KIDNEY LOOKS TO BE A  
19 VERY COMPLEX MATTER. IT LOOKS LIKE A VERY DIFFICULT  
20 MATTER TO REPAIR KIDNEYS BECAUSE THEY'RE  
21 FUNCTIONALLY VERY COMPLEX LITTLE ORGANS.

22 BUT THE GROUP USED A MONOLAYER CULTURE  
23 TECHNOLOGY WITHOUT ANY FEEDERS, ADDING THE GROWTH  
24 FACTORS BMP4, FGF2 AND DIFFERENTIATED THEM INTO WHAT  
25 WE KNOW AS MESODERM. AND THEN THEY USE ANOTHER SET

**BARRISTERS' REPORTING SERVICE**

1 OF FACTORS, RETINOIC ACID, ACTIVIN A AND BMP2 TO  
2 DRIVE THEM INTO RENAL-LIKE LINEAGES. AND WHEN THEY  
3 WERE COMBINED IN CO-CULTURE WITH MOUSE URETERIC  
4 BUDS, THAT IS EMBRYONIC MOUSE URETERIC BUDS, THE  
5 HUMAN RENAL-LIKE CELLS INTEGRATED INTO THE TRUNK AND  
6 TIP, INDUCING NEUROGENESIS.

7 I THINK THIS IS INTERESTING BECAUSE IT'S A  
8 POSSIBLE PROGRESS TOWARDS KIDNEY REPAIR. IT'S ON  
9 THE WAY. AND SO I THINK THIS IS THE FIRST TIME  
10 WE'VE SEEN DEVELOPMENT INTO THOSE KIDNEY STRUCTURES  
11 OF ANY OF OUR PLURIPOTENTIAL STEM CELLS. SO I THINK  
12 IT'S AN INTERESTING AND IMPORTANT STEP.

13 I TALKED TO CARLOS, JUAN CARLOS, ABOUT IT.  
14 HE DIDN'T THINK THIS METHOD WAS GOING TO END UP  
15 BEING ABLE TO GROW A WHOLE KIDNEY, BUT HE DID THINK  
16 MAYBE YOU COULD EFFECT SOME KIDNEY REPAIR USING A  
17 CELL APPROACH.

18 THE OTHER PAPER I WANTED TO DRAW YOUR  
19 ATTENTION TO, I THINK, IS REALLY A VERY IMPORTANT  
20 ONE AND PROBABLY WON'T BE SEEN IF YOU JUST LOOKED AT  
21 THE LITERATURE BY A LOT OF PEOPLE AS BEING THAT WAY.  
22 BUT THIS IS A DERIVATION OF NOVEL HUMAN GROUND STATE  
23 FOR PLURIPOTENTIAL STEM CELLS. WE KNOW THAT HUMAN  
24 PLURIPOTENTIAL STEM CELLS, EMBRYONIC STEM CELLS OR  
25 IPS CELLS, ARE DIFFERENT TO THE MOUSE. THEY RESPOND

**BARRISTERS' REPORTING SERVICE**

1 TO DIFFERENT GROWTH FACTORS. THEY DIFFERENTIATE IN  
2 DIFFERENT WAYS. HUMAN OR PRIMATE CELLS CANNOT MAKE  
3 CHIMERAS WHEN THEY'RE COMBINED IN THE EMBRYO AND  
4 MOUSE CAN. THERE ARE DIFFERENCES. MAJOR DIFFERENCE  
5 IS IN THE GROUND STATE OF THAT PLURIPOTENTIALITY.

6 SO THIS GROUP AT THE WEIZMANN INSTITUTE,  
7 WHICH IS HEADED BY DR. HANNA, HAS BEEN STUDYING VERY  
8 CLOSELY THIS GROUND STATE OF HUMAN AND MOUSE. AND  
9 THEY DEFINED CULTURE CONDITIONS WHICH ARE REALLY  
10 QUITE COMPLEX IN THEIR PAPER, WORKING OUT THE  
11 CULTURE CONDITIONS THAT COULD DERIVE WHAT ARE KNOWN  
12 AS GENETICALLY NAIVE HUMAN PLURIPOTENTIAL STEM  
13 CELLS. SO GROUND STATE LIKE MOUSE, NOT LIKE HUMAN.

14 SO THESE CELLS RESPONDED TO THE SAME  
15 FACTORS THAT MOUSE DID IN THEIR RESPONSE TO GROWTH  
16 FACTORS. SO INSTEAD OF BEING TYPICALLY HUMAN, WHICH  
17 IS FURTHER DOWN THE TRACK, THEY WERE TYPICALLY LIKE  
18 MOUSE EARLY ON IN DEVELOPMENT.

19 WHAT'S THE IMPORTANT PART OF THAT IS THAT  
20 THESE CELLS WERE ABLE TO BE INCLUDED. WHEN THESE  
21 CELLS, WHEN THEY'RE INJECTED INTO A MOUSE EMBRYO,  
22 THEY ACTUALLY INTEGRATED INTO THAT MOUSE EMBRYO, AND  
23 EVENTUALLY THEY PRODUCED HUMAN CELLS IN THOSE MOUSE  
24 TISSUES. THEY FORMED CHIMERAS, AND THIS HAS NEVER  
25 BEEN DONE BEFORE. SO THESE CELLS NOW ARE REALLY



**BARRISTERS' REPORTING SERVICE**

1 VERY MUCH LIKE MOUSE EMBRYONIC STEM CELLS. THEY CAN  
2 FORM ALL OF THE CELLS THAT THE MOUSE CAN, BUT THEY  
3 DO IT IN THE SAME KIND OF WAY.

4 SO JUST TO SHOW YOU, TO DO THESE STUDIES,  
5 THEY INJECTED THESE FLUORESCENT NAIVE HUMAN  
6 PLURIPOTENTIAL STEM CELLS, SHOWN IN THE GREEN HERE,  
7 INTO AN EMBRYO. THIS WAS A EARLY STAGE EMBRYO; IT'S  
8 JUST PREBLASTOCYST. AND YOU CAN SEE THE GREEN TURNS  
9 UP IN THE INNER CELL MASS OF THESE BLASTOCYSTS, AND  
10 IT APPEARS TO BE INTEGRATING. AND IF YOU LOOK, IF  
11 THOSE BLASTOCYSTS ARE THEN TRANSFERRED TO THE MOUSE  
12 TO PRODUCE A FETAL MOUSE, YOU CAN SEE THAT THE  
13 CELLS, THESE ARE THE HUMAN CELLS SHOWING UP IN  
14 WHITE, AND THESE ARE ALL IN THE NEURAL FOLD OF THE  
15 MOUSE. SO THEY'RE IN THE NEURAL FOLD OF THE CENTRAL  
16 NERVOUS SYSTEM, AND YOU CAN SHOW IN OTHER  
17 DEVELOPMENTS, HERE ARE THE WHITE ONES SHOWING UP IN  
18 OTHER REGIONS OF THE BODY OF THE MOUSE. SO THEY  
19 BECOME INTEGRATED LIKE A CHIMERA. THIS IS THE  
20 CONTROLS. THERE ARE NO WHITE CELLS HERE SHOWING UP  
21 AT ALL.

22 I THINK IT'S VERY SIGNIFICANT, AND YOU  
23 MIGHT REMEMBER THIS IN, SAY, THREE OR FOUR YEARS  
24 TIME WHEN WE COME BACK AND TELL YOU HOW IT'S GOING  
25 TO BE USED BECAUSE I DEFINITELY THINK THIS GROUND

**BARRISTERS' REPORTING SERVICE**

1 STATE IS GOING TO BE ANOTHER REVOLUTION IN STEM CELL  
2 MEDICINE.

3 MOVING ON FROM THOSE INTERESTING PAPERS,  
4 THERE'S A NEW APPOINTMENT AT CIRM. ELENA WHITE,  
5 WHO'S RETURNING TO CIRM AS A GRANTS MANAGEMENT  
6 SPECIALIST, AND DID IT IN SEPTEMBER. SO WE WELCOME  
7 HER BACK AGAIN TO US. SHE'S BEEN OFF FOR TWO YEARS  
8 AND HAS COME BACK TO US AND IS WORKING ACTUALLY PART  
9 TIME WITH US TO ENABLE US TO PERFORM IN OUR GRANTS  
10 MANAGEMENT TEAM AS EFFECTIVELY AS WE NORMALLY DO.

11 THE RFA PROGRAM, WE'VE ALREADY HAD THE  
12 DISEASE TEAM. THAT'S ALREADY PAST. THE BASIC  
13 BIOLOGY V, THERE WILL BE AN ICOC FUNDING DECISION IN  
14 JANUARY. THE GENOMICS WILL COME TO THE BOARD IN  
15 JANUARY AS WELL. THERE'S A STRATEGIC PARTNERING  
16 GRANTS REVIEW APPLICATION, WHICH IS IN FEBRUARY 5TH  
17 TO 7TH, SOMETHING LIKE THAT. AND SOME OF THE BOARD  
18 HAVE BEEN ATTENDING THESE MEETINGS. AND, MIKE, I  
19 THOUGHT YOU THOUGHT IT WAS REALLY WORTHWHILE, AND  
20 I'M ENCOURAGING ANNE-MARIE TO MAYBE COME AS WELL  
21 BECAUSE THAT WOULD BE GOOD JUST TO SEE THE PROCESS  
22 IN PLACE. I THINK YOU GET A BETTER UNDERSTANDING  
23 FOR WHAT'S GONE ON THERE THAT THEN COMES HERE.

24 SO I ENCOURAGE THE BOARD TO GO THERE IF  
25 THEY HAVE A CHANCE AND JUST SEE WHAT THE PROCESS IS

## BARRISTERS' REPORTING SERVICE

1 AND GET A FEELING FOR HOW IT HAPPENS.

2 THE RESEARCH LEADERSHIP EXTENSION, THE  
3 GRANTS WORKING GROUP REVIEW OF THOSE APPLICATIONS  
4 SHOULD BE IN MARCH.

5 ALPHA CLINICS, THE GRANTS WORKING GROUP  
6 REVIEW SHOULD BE IN JUNE. AND TOOLS AND  
7 TECHNOLOGIES II SHOULD BE IN SEPTEMBER.

8 SO THERE HAVE BEEN A NUMBER OF MEETINGS.  
9 I JUST WANTED TO REPORT ON A FEW OF THOSE. OTHERS  
10 THAT I WON'T TALK ABOUT ARE IN YOUR FOLDERS.  
11 THERE'S A COUPLE OF VERY INTERESTING ONES THAT I WAS  
12 AT. FIRST OF ALL, THE NATURE MEDICINE SYMPOSIUM ON  
13 STEM CELLS IN HANOVER, GERMANY, WHERE AN INVITED  
14 GROUP OF PEOPLE, AROUND 20 OF US, GOT TOGETHER TO  
15 DISCUSS THE MAJOR ISSUES AND QUESTIONS THAT NEED TO  
16 BE ADDRESSED FOR TRANSLATIONAL RESEARCH IN STEM CELL  
17 RESEARCH TO ADVANCE AND, IF POSSIBLE, DEVELOP  
18 ANSWERS FOR THE QUESTIONS AT HAND.

19 SO THIS IS NOW BEING WRITTEN UP IN A  
20 SERIES OF PAPERS BY THE PEOPLE WHO WERE ATTENDING  
21 THAT. AND THE FOCUS OF THE DISCUSSION WAS IN THOSE  
22 VERY INTERESTING AREAS OF EITHER CELL  
23 DIFFERENTIATION, MECHANISMS OF REGENERATION, DISEASE  
24 MODELING, CLINICAL TRANSLATION, AND REPROGRAMMING,  
25 AND PLURIPOTENTIALITY. THEY WERE VERY, VERY

**BARRISTERS' REPORTING SERVICE**

1 INTERESTING. WE COULD SEE WHERE THE PROBLEMS WERE.  
2 THERE WILL BE DEVELOPMENTS THAT WILL BE REPORTED IN  
3 *NATURE MEDICINE* AS THESE REPORTS COME THROUGH.

4 THE OTHER ONE WAS THE WORLD SUMMIT ON  
5 REGENERATIVE MEDICINE WHICH ARE REALLY TARGETING THE  
6 GLOBAL BARRIERS TO THE IMPLEMENTATION OF THERAPIES  
7 OF REGENERATIVE MEDICINE. SO THOSE PAPERS ARE  
8 AVAILABLE IF YOU WANT FROM THE CHINA STUDY, AND  
9 THERE WILL BE SOME MORE COMING FORWARD, BUT, AGAIN,  
10 AN INCREDIBLY INTERESTING AREA. THIS WAS MOSTLY  
11 POPULATED BY TISSUE ENGINEERS AND CELL BIOLOGISTS.  
12 SO IT WAS A VERY, VERY INTERESTING MEETING.

13 OTHER CELL CONFERENCES THAT WE'VE HAD JUST  
14 RECENTLY WAS ONE ON "PARKINSON'S DISEASE: FROM  
15 DISCOVERY TO THE CLINIC," WHICH CIRM HAD A MODERATED  
16 WEBINAR WITH EXPERTS FROM FDA, INDUSTRY, ACADEMIA,  
17 SLIDES, AUDIOTAPE, AND QUESTION AND ANSWERS WERE  
18 POSTED. SO YOU CAN PICK THAT UP ON THE WEBSITE  
19 NOTED THERE. AND THERE'S A WHITE PAPER FROM CIRM,  
20 WHICH SPONSORED THE WORKSHOP, AS A REFERENCE. SO  
21 THAT WILL BE ON THE WEBSITE IN DUE COURSE.

22 THEN 2013 WORLD ALLIANCE FORUM IN SAN  
23 FRANCISCO ON THE FUTURE OF STEM CELLS, THIS IS AN  
24 ORGANIZATION WHICH IS MOSTLY CENTERED IN JAPAN, BUT  
25 THEY HAD THEIR MEETING IN SAN FRANCISCO. IT WAS A

## BARRISTERS' REPORTING SERVICE

1 FANTASTIC MEETING OF REALLY SOME OF THE BEST PEOPLE  
2 IN THE WORLD. AND IT FEATURED A LOT OF REALLY  
3 INTERESTING ADVANCES IN STEM CELL TECHNOLOGY AND  
4 CHALLENGES TO OVERCOME BEFORE THE PROMISE CAN BE  
5 TURNED INTO PRACTICAL APPLICATION, AND IT INCLUDED  
6 COMPANIES AND IT INCLUDED PEOPLE WHO ARE REALLY  
7 BROADLY INTERESTED IN THE AREA. AND IT SERVED AS A  
8 CATALYST FOR CREATING NEW BUSINESSES BY NURTURING  
9 NEW TECHNOLOGIES EMERGING OUT OF BOTH THE U.S. AND  
10 JAPAN.

11 SO I THOUGHT IT WAS ONE OF THOSE REALLY  
12 FANTASTIC MEETINGS. IT WAS A DAY MEETING IN SAN  
13 FRANCISCO. THERE ARE OTHERS IN YOUR BOARD PAPERS  
14 THERE.

15 NOW, I JUST WANTED TO REPORT ON  
16 EXTRAORDINARY SUPPLEMENTS AWARDS BECAUSE THAT'S WHAT  
17 WE'RE REQUIRED TO DO FOR THE ICOC. JUST TO REMIND  
18 YOU, IN DECEMBER 2012 YOU APPROVED \$16 MILLION FOR  
19 EXTRAORDINARY SUPPLEMENTS, \$12 MILLION FOR THE MAJOR  
20 SUPPLEMENTS AND \$4 MILLION FOR MINOR SUPPLEMENTS.  
21 AND IN THE MINOR SUPPLEMENTS, A PRESIDENTIAL  
22 DECISION COULD BE MADE AFTER RECEIVING  
23 RECOMMENDATIONS FROM TWO MEMBERS OF A GRANTS WORKING  
24 GROUP ALONG WITH SENIOR MANAGEMENT.

25 AND JUST TO LET YOU KNOW, IN THE AREA OF

**BARRISTERS' REPORTING SERVICE**

1 TOOLS AND TECHNOLOGIES, AN AWARD TO RT 21975,  
2 DEVELOPMENT OF PRECLINICAL TESTING OF A NEW DEVICE  
3 FOR CELL TRANSPLANTATION TO THE BRAIN TO DANIEL LIM  
4 AT UCSF. HE REQUESTED A MINOR SUPPLEMENT OF  
5 \$163,402 TO COVER MOST OF THE COST OF DEVELOPING A  
6 DESIGN HISTORY FILE FOR THE DEVICE AND A SET OF  
7 DOCUMENTS REQUIRED FOR COMMERCIALIZATION OF MEDICAL  
8 DEVICES. IS REBUDGETING THE REMAINING FUNDS IN THE  
9 CURRENT AWARD TO COVER THE REMAINING DHF COST AND TO  
10 CONDUCT STUDIES IN SUPPORT OF A 5, 10K FILING.

11 SO THE PROCESS WAS THE APPLICATION AND  
12 BUDGET WAS REVIEWED BY EXPERT MEMBERS OF THE GRANTS  
13 WORKING GROUP, AND THERE WAS EXTERNAL AND INTERNAL  
14 RECOMMENDATION TO FUND, AND THEN I APPROVED THAT.  
15 SO I APPROVED THAT MINOR AWARD.

16 SO CAN I INVITE CHILA WITH A REASONABLE  
17 VOICE TODAY TO GIVE YOU THE FINANCIAL REPORT.

18 MS. SILVA-MARTIN: THANK YOU, DR.  
19 TROUNSON. GOOD AFTERNOON, MR. CHAIR AND MEMBERS OF  
20 THE BOARD, MEMBERS OF THE PUBLIC, AND STAFF. I WILL  
21 BE REPORTING ON CIRM'S FINANCES FOR THE '13-'14  
22 FISCAL YEAR.

23 THE FIRST THING I DO WANT TO DO THOUGH IS  
24 ACKNOWLEDGE THE REST OF THE CIRM TEAM THAT WORKS  
25 REALLY HARD TO ENSURE THAT WE GET UNQUALIFIED,

## BARRISTERS' REPORTING SERVICE

1 UNMODIFIED AUDIT REPORTS. AND IT REALLY STARTS  
2 FIRST WITH CYNTHIA SCHAFFER, WHO IS OUR CONTACT AND  
3 PROCUREMENT MANAGER, AND IS RESPONSIBLE FOR ENSURING  
4 THAT SHE ISSUES CONTRACTS AND PROCUREMENTS IN  
5 COMPLIANCE WITH NOT ONLY CIRM POLICIES, BUT THE  
6 MYRIAD OF STATE RULES AND REGULATIONS, AND SHE DOES  
7 AN EXCELLENT JOB.

8 AND THEN WE HAVE THREE FINANCE STAFF WHO  
9 INCLUDES CELESTE HYDLER, SHEILA CHADWICK, AND ODEL  
10 BARRY. AND THEY'RE RESPONSIBLE FOR REVIEWING,  
11 AUDITING, PROCESSING THOUSANDS OF PAY MEMOS,  
12 INVOICES, HONORARIA REQUESTS, TRAVEL EXPENSE CLAIMS,  
13 AND NOT ONLY MAKING SURE THAT THEY'RE IN COMPLIANCE  
14 WITH THOSE CONTRACT NPO'S THAT CYNTHIA ISSUES, BUT  
15 ALSO ENSURING THAT THEY GET CODED TO THE RIGHT  
16 POCKETS OF MONEY SO THAT WHEN WE GET OUR FINANCIAL  
17 AUDIT COMPLETED, WE HAVE THIS GREAT REPORT. SO I DO  
18 WANT TO ACKNOWLEDGE THEM FIRST AND FOREMOST.

19 THIS FIRST SLIDE COVERS WHAT WE'VE  
20 PROVIDED IN GRANT DISBURSEMENTS AND WHAT OUR CASH  
21 RESERVES ARE. SO FOR THE FIRST FIVE MONTHS OF THE  
22 FISCAL YEAR, WE HAVE DISBURSED \$93 MILLION IN GRANT  
23 PAYMENTS, WHICH IS ABOUT \$28 MILLION MORE THAN WE  
24 DID DURING THE SAME PERIOD IN THE '12-'13 FISCAL  
25 YEAR. WE CONTINUE TO RECEIVE COMMERCIAL PAPER

## BARRISTERS' REPORTING SERVICE

1 FUNDING EACH MONTH BASED ON FORECASTS AND REPORTS  
2 THAT ARE PREPARED BY THE OFFICE OF THE CHAIR. AND  
3 SO AS A RESULT, WE HAVE A VERY HEALTHY CASH RESERVE.  
4 AS OF NOVEMBER 30TH, WE HAD \$53 MILLION AND WE WILL  
5 CONTINUE TO RECEIVE MONTHLY COMMERCIAL PAPER  
6 ALLOCATIONS ANYWHERE FROM 13 TO \$22 MILLION  
7 GENERALLY BASED ON THOSE REPORTS THAT ARE PROVIDED  
8 BY THE OFFICE OF THE CHAIR.

9 JUST TALKING ABOUT OUR OPERATIONAL  
10 EXPENDITURES AT A VERY HIGH LEVEL. OUR '13-'14  
11 EXPENDITURES ARE REALLY ON TRACK. AT THE NEXT  
12 MEETING I DO HOPE TO PROVIDE YOU WITH A YEAR-END  
13 FORECAST SO THAT YOU'LL KNOW WHERE I THINK WE'RE  
14 GOING TO END UP. BASED ON THE FIRST FIVE MONTHS OF  
15 EXPENDITURES, THOUGH, I AM SEEING A LITTLE BIT OF  
16 SAVINGS. THE MAJORITY OF SAVINGS IS FROM EMPLOYEE  
17 EXPENSES, AND IT'S REALLY ATTRIBUTED TO THE FACT  
18 THAT WE HAVE A COUPLE OF POSITIONS THAT ARE VACANT,  
19 BUT ALSO THAT WE BUDGETED FOR MERIT ADJUSTMENTS FOR  
20 THE FULL YEAR, AND THEY WERE NOT IMPLEMENTED TILL  
21 THE NOVEMBER PAY PERIOD.

22 NOW, THIS NEXT CHART PROVIDES YOU THE  
23 OPERATING EXPENDITURES BY THE CATEGORIES, AND IT  
24 COMPARES IT TO THE SAME PERIOD IN THE '12-'13 FISCAL  
25 YEAR. I'M NOT GOING TO COVER ALL OF THE CATEGORIES.



## BARRISTERS' REPORTING SERVICE

1 I'M JUST GOING TO POINT OUT A COUPLE OF THEM.

2 OVERALL OUR EXPENDITURES ARE UP BY  
3 \$245,000 OVER LAST YEAR. OUR EMPLOYEE EXPENSES ARE  
4 UP, BUT THAT'S BECAUSE LAST NOVEMBER, I BELIEVE, WE  
5 HAD 55 STAFF ON BOARD, AND THIS NOVEMBER WE HAVE 57.  
6 SO WE HAVE A COUPLE MORE STAFF ON BOARD. WE ALSO  
7 HAD SOME OF OUR BENEFIT COST THAT INCREASED. FOR  
8 EXAMPLE, RETIREMENT WENT UP AS WELL AS SOME OF OUR  
9 HEALTH BENEFITS. YOU WILL NOTICE, HOWEVER, THAT OUR  
10 EXTERNAL SERVICES HAVE GONE DOWN SIGNIFICANTLY. AND  
11 THAT'S REALLY ATTRIBUTED TO TWO AREAS. A BIG  
12 PORTION OF IT IS THE I.T. PROGRAMMING. LAST YEAR WE  
13 HAD HALF A MILLION DOLLARS BUDGETED FOR I.T.  
14 PROGRAMMING. THIS YEAR WE ONLY HAVE \$75,000  
15 BUDGETED BECAUSE WE DID, IN FACT, BRING A COUPLE OF  
16 STAFF ON BOARD A COUPLE OF YEARS AGO, AND THAT'S  
17 WORKING OUT GREAT.

18 AND THEN THE OTHER CATEGORY THAT I WANTED  
19 TO POINT OUT IS THAT OUR COSTS THIS YEAR ARE HIGHER  
20 IN TRAVEL THAN THEY WERE DURING THE SAME PERIOD LAST  
21 YEAR, AND I THINK THAT'S ATTRIBUTED TO A NUMBER OF  
22 DIFFERENT REASONS. ONE OF THEM BEING MORE THAT WE  
23 HAVE STAFF THAT ARE TRAVELING. WE ALSO HAD -- THE  
24 COST CENTERS SUBMITTED TRAVEL PLANS, AND I THINK  
25 THEY'RE ADHERING TO THEM MORE CLOSELY. AND SO WE'RE

**BARRISTERS' REPORTING SERVICE**

1 SEEING MORE EXPENDITURES.

2 AND THEN THE THIRD IS THAT WE REALLY HAVE  
3 BEEN ENCOURAGING STAFF TO SUBMIT THEIR TRAVEL  
4 EXPENSE CLAIMS ON A MONTHLY BASIS, AND WE'RE SEEING  
5 THAT. SO THAT MEANS THE EXPENDITURES ARE BEING  
6 RECORDED MORE TIMELY.

7 THE NEXT CHART PROVIDES YOU COST CENTER  
8 DETAILS. WE HAVE EIGHT COST CENTERS, AND THIS CHART  
9 REFLECTS THE BUDGET FOR EACH OF THE COST CENTERS AND  
10 THEN EXPENDITURES THAT HAVE BEEN RECORDED THROUGH  
11 NOVEMBER. I DO WANT TO POINT OUT THAT RECORDED IS  
12 NOT NECESSARILY WHAT THEY HAVE INCURRED BECAUSE WE  
13 HAVE EVERY MONTH ABOUT A 400 TO \$600,000 LAG. FOR  
14 NOVEMBER I THINK IT WAS ABOUT \$4,000. SO WHAT I CAN  
15 SAY ABOUT ALL OF THE COST CENTERS IS THAT THEIR  
16 EXPENDITURES ARE PRETTY MUCH ON TRACK, BUT NEXT  
17 MONTH I WILL BE ABLE TO GIVE YOU SOME MORE DETAILS  
18 ONCE I'VE DONE A THOROUGH ANALYSIS AND WE LOOK AT  
19 ALL OF THE COSTS FOR THE REST OF THE YEAR.

20 THEN FINALLY, ALTHOUGH WE'RE SIX MONTHS  
21 INTO THE FISCAL YEAR, IT'S REALLY TIME FOR US TO  
22 BEGIN THE BUDGET DEVELOPMENT FOR THE '14-'15 FISCAL  
23 YEAR. SO THIS IS THE TENTATIVE SCHEDULE. THE  
24 FINANCE STAFF PLANS TO DISTRIBUTE TO EACH OF THE  
25 COST CENTERS SOME CURRENT YEAR DATA AS WELL AS SOME

## BARRISTERS' REPORTING SERVICE

1     TEMPLATES SO THAT THEY CAN DEVELOP THE BUDGET.  
2     WE'LL GIVE THEM ABOUT THREE TO FOUR WEEKS TO TURN  
3     THOSE REQUESTS AROUND. WE WILL DO AN INTERNAL  
4     REVIEW WITH THE PRESIDENT AND THE CHAIR. FROM THAT  
5     WE THEN WILL BRING THE BUDGET PROPOSAL TO THE  
6     FINANCE SUBCOMMITTEE HOPEFULLY SOMETIME IN MARCH.  
7     WE'LL FINALIZE THE BUDGETS DURING APRIL BASED ON  
8     INPUT FROM THE FINANCE SUBCOMMITTEE, AND THEN WE  
9     PLAN TO BRING IT TO THIS BOARD IN MAY 2014.

10            THAT CONCLUDES MY PRESENTATION. ARE THERE  
11     ANY QUESTIONS?

12            MR. SHEEHY: SO THE TRAVEL BUDGET, SO  
13     THAT'S UP 66 PERCENT?

14            MS. SILVA-MARTIN: IT'S NOT UP 66 PERCENT.  
15     THE EXPENDITURES THAT HAVE BEEN RECORDED ARE UP 66  
16     PERCENT. WHAT YOU HAVE TO KEEP IN MIND IS THAT THEY  
17     PLAN FOR TRIPS, AND SOMETIMES THEY'RE TAKEN LATER ON  
18     IN THE YEAR. THIS YEAR I THINK THAT THE COST  
19     CENTERS ARE KEEPING MORE TO THE PLAN OF WHAT THEY  
20     SUBMITTED IN THEIR TRAVEL PLANS, AND WE ALSO ARE  
21     GETTING OUR TRAVEL EXPENSES SUBMITTED TO THE FINANCE  
22     OFFICE EARLIER.

23            MR. SHEEHY: IS IT POSSIBLE TO KNOW HOW  
24     THE TRAVEL BUDGETS MATCH -- TRAVEL EXPENDITURES  
25     MATCH THE BUDGETS BY COST CENTER? ARE WE EXCEEDING

**BARRISTERS' REPORTING SERVICE**

1 BUDGETS, I GUESS, IS MY QUESTION FOR TRAVEL? ARE WE  
2 EXCEEDING OUR BUDGETS?

3 MS. SILVA-MARTIN: ABSOLUTELY NOT. IN OUR  
4 TRAVEL BUDGET, WE HAVE \$533,000 FOR BOTH IN-STATE  
5 AND OUT-OF-STATE TRAVEL AND INTERNATIONAL TRAVEL  
6 THAT YOU AUTHORIZED. AND AS YOU CAN SEE,  
7 EXPENDITURES THAT HAVE BEEN RECORDED AS OF NOVEMBER  
8 ARE ONLY 122,000, SO WE'RE WAY UNDER BUDGET, AND WE  
9 WILL NOT EXCEED THE TRAVEL BUDGETS, PARTICULARLY THE  
10 IN-STATE AND OUT-OF-STATE BECAUSE THOSE ARE  
11 REGULATED, AND WE CANNOT EXCEED THOSE COSTS. AND I  
12 CAN BRING YOU MORE DETAILS AT THE JANUARY MEETING IF  
13 YOU WOULD LIKE.

14 MR. SHEEHY: YEAH. I THINK MAYBE IN  
15 JANUARY WE SHOULD TAKE A LOOK AT THE TRAVEL BUDGET.  
16 THAT JUST SEEMS -- TO BE UP 66 PERCENT OVER THIS  
17 POINT LAST YEAR IS NOT CLEAR TO ME. I KNOW THAT'S  
18 ALWAYS BEEN A SORE SPOT FOR THE GOVERNOR. I THINK  
19 WE SHOULD BE ON TOP OF THAT.

20 MS. SILVA-MARTIN: WHAT I CAN SAY IS THAT  
21 OVER THE YEARS, WE HAVE MADE SIGNIFICANT EFFORTS.  
22 AS YOU MAY RECALL, WHEN MR. THOMAS CAME ON BOARD, HE  
23 DID REQUIRE THAT WE REDUCE OUR OUT-OF-STATE TRAVEL  
24 BUDGET, AND WE HAVE DONE THAT. WE'VE BEEN KEEPING  
25 EVERY YEAR IN LINE WITH THAT. SO IT'S VERY

**BARRISTERS' REPORTING SERVICE**

1 CAREFULLY REVIEWED, BUT I'M HAPPY TO PROVIDE YOU  
2 NEXT MONTH IN JANUARY WITH ADDITIONAL INFORMATION.

3 MR. SHEEHY: JUST BECAUSE THAT NUMBER KIND  
4 OF JUMPS OUT.

5 MS. SILVA-MARTIN: SURE. I UNDERSTAND.  
6 ABSOLUTELY. NO OTHER QUESTIONS?

7 DR. DULIEGE: JUST TO UNDERSTAND, JEFF, IT  
8 MAY JUMP OUT TO BE HIGHER THAN WHAT WAS SPENT THE  
9 YEAR BEFORE, IS THAT WHAT IT IS? THE TRAVEL BUDGET,  
10 WHAT SURPRISES YOU IN THE TRAVEL BUDGET?

11 MR. SHEEHY: THE VARIANCE IS 66 PERCENT.  
12 SO I LOOK AT THAT.

13 DR. DULIEGE: BUT IT'S, HOWEVER, WAY UNDER  
14 THE PLANNED BUDGET FOR 2013; IS THAT RIGHT?

15 MS. SILVA-MARTIN: THAT'S CORRECT. WE  
16 HAVE 533 AUTHORIZED.

17 DR. DULIEGE: I LOOK AT IT A SOMEWHAT  
18 DIFFERENT WAY, WHICH IS FOR ME THERE'S SOMETHING  
19 STRANGE ABOUT PLANNING FOR SUCH A POSSIBLY HIGH  
20 BUDGET AND ONLY SPENDING ABOUT 25 PERCENT OF IT.  
21 I'M JUST CURIOUS ABOUT WHAT HAPPENED.

22 MS. SILVA-MARTIN: WHAT I CAN SAY ABOUT  
23 THE TRAVEL BUDGET IS THAT I INDICATED THAT WE REALLY  
24 HAVE BEEN TRYING TO ENCOURAGE PEOPLE TO TURN THEIR  
25 TRAVEL EXPENSE CLAIMS IN ON A TIMELY BASIS. SO

**BARRISTERS' REPORTING SERVICE**

1 WHAT'S HAPPENED IN THE PAST IS SOMETIMES,  
2 PARTICULARLY WHEN INDIVIDUALS ARE TRAVELING  
3 INTERNATIONALLY, WE MAY NOT SEE THEIR TRAVEL EXPENSE  
4 CLAIMS FOR TWO TO THREE MONTHS. SO THAT MEANS THAT  
5 THERE'S A LAG IN THE RECORDING OF THE EXPENDITURES.  
6 BUT I THINK THAT WE'RE ON TRACK WITH OUR BUDGET, AND  
7 WE WILL NOT EXCEED WHAT'S BEEN AUTHORIZED FOR THAT.

8 DR. DULIEGE: THAT I UNDERSTAND. I DON'T  
9 THINK YOU WILL EXCEED. IF WE'RE THAT MUCH UNDER THE  
10 PLANNED BUDGET, THERE'S NO WAY YOU WILL EXCEED IT IN  
11 A FEW MONTHS, FOR SURE.

12 MS. SILVA-MARTIN: RIGHT. THE OTHER THING  
13 THAT I WOULD LIKE TO POINT OUT IS ONE OF THE TRIPS  
14 THAT WE TAKE THAT SEVERAL OF THE STAFF ATTEND ISSCR,  
15 FOR EXAMPLE, BUT THAT DOESN'T OCCUR TILL JUNE. SO  
16 IT'S NOT LIKE A STRAIGHT LINE EVERY MONTH. YOU'RE  
17 GOING TO HAVE 12 MONTHS, ONE-TWELFTH OF THE  
18 EXPENDITURE. SO IT KIND OF GOES UP AND DOWN.

19 MR. SHEEHY: YEAH, BUT IN THIS FISCAL  
20 YEAR, JUNE IS STILL AHEAD OF US, WHICH IS USUALLY  
21 THE MAJOR INTERNATIONAL TRAVEL EVENT. I THINK WE  
22 JUST -- IT WOULD BE GOOD TO HAVE SOME MORE DETAIL AT  
23 THE NEXT MEETING. I THINK THAT'S PROBABLY THE BEST  
24 WAY TO HANDLE IT, AND THAT WILL FEED INTO THE BUDGET  
25 DISCUSSION THAT'S COMING UP SHORTLY THEREAFTER.

**BARRISTERS' REPORTING SERVICE**

1 MS. SILVA-MARTIN: SURE. I'LL BE HAPPY TO  
2 PROVIDE THAT INFORMATION.

3 MR. SHEEHY: DOES THAT MAKE SENSE? DOES  
4 THAT SOUND REASONABLE?

5 CHAIRMAN THOMAS: THANK YOU, CHILA. AND  
6 THANK YOU TO YOUR ENTIRE TEAM. AND THANK YOU FOR  
7 CALLING THEM OUT. THAT WAS GREAT. SO WE APPRECIATE  
8 ALL THE HARD WORK EVERYBODY DOES.

9 WE SHOULD NOTE THAT, I BELIEVE, MARIA, AM  
10 I RIGHT ON THIS, THAT BETWEEN NOW AND THE NEXT BOARD  
11 MEETING ON THIS THEME, THE CFAOC WILL BE MEETING.  
12 SO WE'LL HAVE OUR ANNUAL VISIT WITH THE CONTROLLER  
13 AND HIS PANEL TO ADDRESS FISCAL ISSUES AND OVERSIGHT  
14 MATTERS RELEVANT TO THE CONTROLLER'S OFFICE, WHICH  
15 WE ALWAYS LOOK FORWARD TO THAT AS A CHANCE TO SHOW  
16 THEM WHAT A GREAT JOB EVERYBODY IS DOING. SO WE'LL  
17 BE BACK TO YOU WITH THAT IN OUR NEXT BOARD MEETING  
18 IN LATE JANUARY.

19 OKAY. NOW, QUORUM ISSUES HAVE BEEN  
20 RESOLVED FOR THE TIME BEING. SO WE'RE GOING TO  
21 PROCEED WITH, AS JAMES WOULD SAY, ALL DELIBERATE  
22 SPEED TO ITEM NO. 9, WHICH IS CONSIDERATION OF  
23 SUPPLEMENT OF RFA 12-03, HUMAN EMBRYONIC STEM CELL  
24 DERIVATION AWARD. DR. YAFFE.

25 DR. YAFFE: MR. CHAIRMAN, MEMBERS OF THE

**BARRISTERS' REPORTING SERVICE**

1 BOARD, MEMBERS OF THE PUBLIC, I BRING FOR YOUR  
2 CONSIDERATION REQUESTS FOR A SUPPLEMENT TO THE HIPSC  
3 DERIVATION AWARD. DR. TROUNSON EARLIER REVIEWED OUR  
4 HIPS DERIVATION AND BANKING INITIATIVE, WHICH IS NOW  
5 UNDER WAY. I JUST WANT TO BRIEFLY TOUCH ON THE  
6 FEATURES OF THAT INITIATIVE AGAIN.

7 THE GOAL IS TO ESTABLISH A HIGH QUALITY  
8 DISEASE-SPECIFIC HIPSC RESOURCE IN CALIFORNIA. AND  
9 THIS RESOURCE OR THIS EFFORT HAS THREE KEY  
10 COMPONENTS. THE FIRST IS TISSUE COLLECTION FROM  
11 3,000 INDIVIDUALS. TISSUES WILL BE COLLECTED WITH  
12 AN EYE TO PREVALENT GENETICALLY COMPLEX DISEASES.  
13 THERE WILL BE BROAD DONOR CONSENT FOR USE OF THE  
14 TISSUE AND DERIVED LINES, AND THERE WILL BE  
15 ASSOCIATED TISSUE DONOR MEDICAL INFORMATION  
16 COLLECTED.

17 THE SECOND COMPONENT IS HUMAN INDUCED  
18 PLURIPOTENT STEM CELL LINE GENERATION BY A SINGLE  
19 DERIVER AND USING A SINGLE DERIVATION METHOD.

20 AND THE THIRD COMPONENT IS BANKING OF THE  
21 CELLS IN A CIRM-SUPPORTED HUMAN PLURIPOTENT STEM  
22 CELL REPOSITORY. THESE CELL LINES WILL THEN BE  
23 AVAILABLE FOR A MYRIAD OF STUDIES INVOLVING DISEASE  
24 MODELING, TARGET DISCOVERY, AND DRUG DISCOVERY AND  
25 DEVELOPMENT.



## BARRISTERS' REPORTING SERVICE

1           YOU WILL RECALL THAT WE BROUGHT THE  
2 RESULTS OF THE GRANTS WORKING GROUP TO YOU LAST, I  
3 THINK IT WAS, MARCH, AND YOU APPROVED THE FOLLOWING  
4 AWARDS. THERE ARE SEVEN TISSUE COLLECTING AWARDS,  
5 AND I'LL JUST GO OVER THEM BRIEFLY IN A MOMENT, A  
6 CELL LINE DERIVATION AWARD, ONE, TO THOMAS NOVAK AS  
7 THE PROGRAM DIRECTOR AT CELLULAR DYNAMICS  
8 INTERNATIONAL, CDI, AND ONE REPOSITORY AWARD TO  
9 STEVEN MADORE OF CORIELL INSTITUTE. I SHOULD NOTE  
10 ALTHOUGH BOTH OF THOSE ORGANIZATIONS ARE CENTERED  
11 OUTSIDE CALIFORNIA, THEY ARE ESTABLISHING PRESENCE  
12 IN CALIFORNIA. THE WORK IS GOING TO BE DONE AT THE  
13 BUCK INSTITUTE IN NOVATO, CALIFORNIA.

14           THERE ARE SEVEN TISSUE COLLECTORS INVOLVED  
15 AND FUNDED THROUGH THIS INITIATIVE. AND AS YOU CAN  
16 SEE ON THE SLIDE OR IN YOUR NOTES, A RANGE OF  
17 IMPORTANT DISEASES AND CONDITIONS, INCLUDING  
18 NEURODEVELOPMENTAL DISORDERS IN CHILDREN, AND  
19 IDIOPATHIC AUTISM, AGAIN, IN A PEDIATRIC POPULATION,  
20 IDIOPATHIC PULMONARY FIBROSIS, LUNG DISEASE,  
21 SUSCEPTIBILITY TO VIRAL HEPATITIS, AND ALCOHOLIC  
22 STEATOHEPATITIS, NONALCHOLIC STEATOHEPATITIS, A TYPE  
23 OF HEART DISEASE, IDIOPATHIC FAMILIAL DILATED  
24 CARDIOMYOPATHY, AS WELL AS ALZHEIMER'S DISEASE, AND  
25 BLINDING EYE DISEASES, PARTICULARLY IN ELDERLY

## BARRISTERS' REPORTING SERVICE

1 POPULATIONS.

2 IN TOTAL THERE WILL BE 3,000 TISSUE  
3 DONORS. OF THESE 2450 WILL BE DONATIONS FROM  
4 AFFECTED INDIVIDUALS AND 550 FROM HEALTHY CONTROL  
5 INDIVIDUALS.

6 A RECOMMENDATION OF THE GRANTS WORKING  
7 GROUP WHEN THEY REVIEWED THESE PROPOSALS WAS THAT  
8 CIRM ASSEMBLE A GROUP OF EXPERTS TO ADVISE ON THE  
9 COLLECTION OF CONTROLS AND IN PARTICULAR TO TRY AND  
10 SET UP SOME CONTROL COHORTS SO THERE COULD BE SHARED  
11 CONTROLS SO THAT EVERY STUDY DIDN'T NEED ITS OWN  
12 CONTROLS. THERE COULD BE GROUPS OF CONTROLS, FOR  
13 EXAMPLE. CONTROLS FROM PEDIATRIC PATIENTS COULD  
14 SERVE FOR BOTH THE NEURODEVELOPMENTAL DISORDERS IN  
15 CHILDREN AND THE IDIOPATHIC AUTISM.

16 AND WE TOOK THAT RECOMMENDATION AND  
17 ASSEMBLED A GROUP OF SEVEN INDEPENDENT HUMAN  
18 GENETICS, GENOMICS, AND STEM CELL EXPERTS. SOME OF  
19 THESE INDIVIDUALS ARE ALSO MEMBERS OF OUR GRANTS  
20 WORKING GROUP. THIS GROUP DID ADVISE ON THE  
21 COLLECTION AND THE ASSEMBLY OF THE CONTROL COHORTS,  
22 BUT THEY ALSO MADE A VERY STRONG RECOMMENDATION THAT  
23 WE UNDERTAKE GENOMICS ANALYSIS OR SNP ANALYSES ON  
24 ALL TISSUE SAMPLES AND DERIVED CELL LINES. SNP, OR  
25 SINGLE NUCLEOTIDE POLYMORPHISM, ANALYSIS DETECTS DNA

**BARRISTERS' REPORTING SERVICE**

1 SEQUENCE VARIATIONS THROUGHOUT THE GENOME. SNP'S  
2 ARE AT THE CORE OF GENETIC VARIATION IN INDIVIDUALS  
3 AND BETWEEN INDIVIDUALS AND, IN FACT, CAN INDICATE  
4 IMPORTANT FEATURES OF DISEASE SUSCEPTIBILITY AND THE  
5 RESPONSE TO THERAPEUTIC TREATMENT.

6 SNP ANALYSIS WILL FACILITATE CASE CONTROL,  
7 SAMPLE MATCHING FOR THIS COLLECTION, THE ANALYSIS OF  
8 GENETIC ANCESTRY AND GENETIC DIVERSITY, BOTH OF  
9 INDIVIDUAL LINES AND OF THE COLLECTION AS A WHOLE.  
10 IT WILL FACILITATE THE IDENTITY MATCHING, HIGH  
11 PRECISION IDENTITY MATCHING OF DERIVED LINES AND THE  
12 ORIGINAL TISSUES. IT WILL ALLOW A DETERMINATION OF  
13 CELL LINE GENOMIC INTEGRITY AND ALLOW THE  
14 IDENTIFICATION AND ANALYSIS OF DISEASE-ASSOCIATED  
15 MARKERS.

16 THE SNP DATA WILL BE DEPOSITED AND  
17 AVAILABLE THROUGH DBSNP, A DATABASE MAINTAINED BY  
18 THE NATIONAL CENTER FOR BIOTECHNOLOGY INFORMATION, A  
19 COMPONENT OF THE NIH.

20 IT WAS THE OPINION OF OUR ADVISORY GROUP  
21 AND OF OUR SCIENTIFIC STAFF THAT WHOLE GENOME SNP  
22 DATA WILL SIGNIFICANTLY INCREASE THE VALUE AND  
23 UTILITY OF CIRM'S HIPS COLLECTION. I WOULD GO  
24 FURTHER TO SUGGEST THAT THIS WOULD BE A LEVERAGING  
25 OF OUR INVESTMENT IN THE HIPSC INITIATIVE TO BOTH

## BARRISTERS' REPORTING SERVICE

1 ENHANCE THE VALUE OF THE SAMPLES THAT WE ARE  
2 ASSEMBLING AND ALSO CREATE A DISTINCTIVE RESOURCE.  
3 A NUMBER OF SCIENTISTS WE'VE TALKED TO ABOUT THE SNP  
4 ANALYSIS HAVE SUGGESTED THAT THE SNP ANALYSIS ALONE,  
5 TOGETHER WITH THE MEDICAL INFORMATION WE'RE  
6 GATHERING, IS ALREADY A REALLY VALUABLE RESOURCE TO  
7 EXPLORE DISEASE MECHANISM, ANALYZE SUSCEPTIBILITY,  
8 AND MAKE MEDICAL ADVANCE.

9 SO WE'RE ASKING FOR SUPPLEMENTAL FUNDS  
10 THAT WILL ENABLE WHOLE GENOME SNP ANALYSIS ON DNA  
11 FROM THE 3,000 TISSUE SAMPLES AND 9,000 DERIVED  
12 LINES. I WANT TO NOTE THAT THIS REQUEST DID NOT  
13 COME FROM THE GRANTEE. THIS REQUEST IS COMING FROM  
14 SCIENTIFIC STAFF AT THE SUGGESTION AND ENCOURAGEMENT  
15 OF INDEPENDENT SCIENTIFIC ADVISORS.

16 I AM REQUESTING -- WE ARE REQUESTING \$2  
17 MILLION AS A SUPPLEMENT TO THE HIPSC DERIVATION  
18 AWARD TO CELLULAR DYNAMICS INTERNATIONAL, CDI, THE  
19 DERIVER, WITH THOMAS NOVAK AS THE PROGRAM DIRECTOR.  
20 AND THIS MONEY WOULD BE USED -- I SHOULD SAY UP TO  
21 \$2 MILLION WOULD BE USED TO SUPPORT THIS ADVANCED  
22 GENOMIC ANALYSIS. I'LL BE HAPPY TO TAKE QUESTIONS.

23 MR. SHEEHY: I HAVE A LOT OF PROCESS  
24 QUESTIONS. THERE'S NO BUDGET. I MEAN WE'RE JUST  
25 GIVING OUT \$2 MILLION TO TWO PRIVATE ENTITIES.

**BARRISTERS' REPORTING SERVICE**

1 DR. YAFFE: I CAN BREAK THAT DOWN FOR YOU,  
2 IF YOU WOULD LIKE.

3 MR. SHEEHY: WELL, THERE SHOULD BE A  
4 BUDGET THAT'S BEEN DISTRIBUTED TO THE PUBLIC. WE  
5 SHOULD HAVE A BUDGET IN OUR BOOK. WE SHOULD HAVE A  
6 REQUEST FOR -- THEY ASK FOR THE MONEY, FRANKLY, I  
7 THINK, AND WE SHOULD HAVE REVIEWED THE REQUEST.  
8 THERE WAS A GENOMICS REVIEW WHICH WOULD HAVE  
9 AFFORDED THE CHANCE TO HAVE REVIEW. I JUST DON'T  
10 THINK WE JUST HAND OUT \$2 MILLION TO A PRIVATE  
11 ENTITY BECAUSE PEOPLE SAID IT WAS A GOOD IDEA. I  
12 THINK IN TERMS OF GOOD STEWARDSHIP, AS A PUBLIC  
13 OFFICIAL, I JUST FIND THIS TO BE STUNNING.

14 THE EVIDENCE BASIS FOR PROVIDING THIS IS  
15 JUST REALLY THIN. I'VE GOT A COUPLE OF PAGES AND  
16 THAT'S IT. WE HAD JEANNE LORING SAYING SOME GENETIC  
17 ANALYSIS CAN BE DONE FOR A HUNDRED FIFTY BUCKS. I  
18 JUST -- WE SHOULD HAVE HAD -- FOR \$2 MILLION, I MEAN  
19 IF THIS WAS A HUNDRED THOUSAND DOLLARS, I CAN  
20 UNDERSTAND IT, BUT FOR \$2 MILLION, THERE SHOULD BE  
21 AN ACTUAL PROPOSAL THAT GETS REVIEWED BY THE WORKING  
22 GROUP, ESPECIALLY SINCE YOU HAD A GENOMICS REVIEW  
23 NOT TWO MONTHS AGO. BUT THIS IS JUST NOT  
24 ACCEPTABLE, FROM MY PERSPECTIVE, AS A PROCESS FOR  
25 HANDING OUT GOVERNMENT MONEY FROM THE TAXPAYERS OF

**BARRISTERS' REPORTING SERVICE**

1 CALIFORNIA.

2 DR. Krontiris: I'D LIKE TO AGREE WITH  
3 THAT. I WONDER HOW MUCH THE CURRENT CHIPS COST FOR  
4 HUNDREDS OF THOUSANDS OF SNP'S.

5 I HAVE A QUESTION. HOW MANY DISEASES ARE  
6 REPRESENTED IN THIS 2450 AFFECTEDS?

7 DR. Yaffe: ELEVEN DISEASES.

8 DR. Krontiris: ELEVEN DISEASES, SO THAT'S  
9 ROUGHLY 200.

10 DR. Yaffe: IT VARIES. SOME DISEASES  
11 THERE ARE FEWER PATIENTS, AND SOME DISEASES THERE  
12 ARE MORE.

13 DR. Krontiris: SO EVEN -- THAT'S AN  
14 EXCEEDINGLY SMALL NUMBER. IT'S A USELESS NUMBER FOR  
15 A CASE CONTROL STUDY NOWADAYS. COUPLE HUNDRED  
16 CASES, 500 CONTROLS. SO I'M REALLY SURPRISED THAT  
17 YOU HAD EXPERTS TELL YOU THAT THAT WOULD ALLOW YOU  
18 TO IDENTIFY DISEASE. SO THIS IS, I THINK, FURTHER  
19 SUPPORTING WHAT YOU SAY IN TERMS OF REALLY NEEDING  
20 TO GET THIS TO REAL REVIEW. THE FACT THAT THERE ARE  
21 SO MANY DISEASES WITHIN THIS SMALL GROUP OF CASES  
22 MEANS THAT ITS VALUE IN SEARCHING FOR DISEASE  
23 MARKERS IS LIMITED. AND I THINK THAT SUPPORTS WHAT  
24 JEFF IS SAYING ABOUT SOMETHING LIKE THIS NEEDING  
25 REAL SCIENTIFIC REVIEW.

**BARRISTERS' REPORTING SERVICE**

1 DR. YAFFE: WE DIDN'T ANTICIPATE THAT THIS  
2 COLLECTION WOULD BE USED FOR GWAS, THE LARGE GENOME  
3 STUDIES. THE USE OF THE SAMPLES AND THE DATA FOR  
4 DISEASE MARKER ANALYSIS IS AN AUGMENTED AND  
5 ADDITIONAL USE, BUT THAT'S NOT THE PRIMARY USE. THE  
6 PRIMARY USE WOULD BE FOR CASE CONTROL MATCHING, FOR  
7 GENOMIC INTEGRITY, FOR GENOMIC IDENTITY OF CELL  
8 LINES AND DERIVED CELLS, AND FOR GENETIC ANCESTRY  
9 AND GENOMIC DIVERSITY.

10 DR. KRONTIRIS: SO ALL OF THOSE USES, BUT  
11 DETERMINATION OF INTEGRITY DON'T REQUIRE LOTS OF  
12 SNP'S.

13 MR. SHEEHY: COULD I MAKE A MOTION?

14 DR. YAFFE: I JUST, IN RESPONSE, MR.  
15 SHEEHY, AND I'M SORRY THAT WE DIDN'T HAVE THIS DATA  
16 AVAILABLE TO YOU EARLIER, AND I COULD MAKE IT  
17 AVAILABLE, JUST PUT ON THE BOARD A BREAKDOWN OF THE  
18 BUDGET AND WHERE WE -- HOW WE DERIVED AT THE NUMBER  
19 OF \$2 MILLION. THE MAJORITY OF THE MONEY IS GOING  
20 TO PURCHASE THE ARRAYS FOR SNP CHIPS. THERE ARE TWO  
21 TYPES, HIGH DENSITY FOR THE TISSUES, A LOWER DENSITY  
22 AND LESS EXPENSIVE ARRAY FOR THE DERIVED CELL LINES.  
23 THE REMAINING COSTS ARE FOR SAMPLE PREPARATION, FOR  
24 DNA PURIFICATION, SAMPLE PROCESSING, AND DATA  
25 ANALYSIS.

**BARRISTERS' REPORTING SERVICE**

1           AGAIN, I WANT TO REITERATE THAT CDI DID  
2 NOT COME TO US, ALTHOUGH WE'VE HAD A DIALOGUE WITH  
3 THEM ABOUT WHETHER THEY WOULD BE WILLING TO DO THIS  
4 WORK. EVERYONE WE'VE TALKED TO FROM THE BANK,  
5 BANKING INDIVIDUALS, THE TISSUE COLLECTORS, AND THE  
6 DERIVER, AS WELL AS OUTSIDE GENETICISTS AND GENOMICS  
7 EXPERTS, HAVE EXPRESSED THE OPINION THAT THIS WOULD  
8 BE A VALUABLE ADDITION TO OUR EFFORT TO ENHANCE AND  
9 LEVERAGE MONEY WE'RE ALREADY PUTTING INTO IT.

10           MR. SHEEHY: AGAIN, I COME TO THE -- YOU  
11 HAVE A FACTUAL BASIS THAT IS NOT IN EVIDENCE HERE.  
12 SO WE HAVE ANONYMOUS CASE REPORTS. WE GIVE OUT  
13 MONEY AFTER PEER REVIEW. THAT'S HOW IT WORKS.  
14 THAT'S WHAT PROP 71 SAYS. RESEARCH MONEY IS  
15 SUPPOSED TO BE PEER REVIEWED. YOU HAD A PEER REVIEW  
16 WHERE YOU COULD HAVE DONE THIS. THE FACT THE  
17 COMPANY IS NOT ASKING FOR IT MAKES IT EVEN DODGIER  
18 TO ME.

19           I WOULD LIKE TO MOVE THAT WE NOT ACCEPT  
20 THIS PROPOSAL. I THINK IF THIS REALLY IS SOMETHING  
21 THAT SHOULD BE DONE, THEN THE COMPANY OR SOMEONE  
22 SHOULD PUT TOGETHER A REAL PROPOSAL WITH A REAL  
23 BUDGET AND WE SHOULD HAVE IT PEER REVIEWED BY OTHER  
24 EXPERTS SO THAT WE ACTUALLY HAVE A PROPOSAL.  
25 THERE'S NOT EVEN A PROPOSAL HERE. THIS IS JUST LIKE



**BARRISTERS' REPORTING SERVICE**

1 \$2 MILLION, AND WHO'S GOING TO DECIDE WHAT TESTS ARE  
2 DONE ON WHAT SAMPLES? ARE WE SURE THAT THOSE ARE  
3 THE RIGHT TYPES OF TESTS TO BE DONE ON THOSE  
4 SAMPLES? WHO'S GOING TO GO THE TEST, CDI?

5 I DON'T WANT TO ARGUE THIS OUT. JUST IN  
6 TERMS OF PROCESS, THIS IS AN INAPPROPRIATE WAY TO  
7 GIVE OUT \$2 MILLION. I CANNOT SUPPORT IT. I WILL  
8 NOT SUPPORT IT, AND I'M MOVING THAT WE NOT SUPPORT  
9 IT. AND I HOPE I HAVE A SECOND.

10 DR. STEWARD: SECOND.

11 CHAIRMAN THOMAS: FURTHER DISCUSSION BY  
12 MEMBERS OF THE BOARD ON THIS TOPIC?

13 DR. JUELSGAARD: SO, TOASTER, MICHAEL,  
14 THIS IS FROM YESTERDAY. BUT ANYWAY, JUST A SERIES  
15 OF QUESTIONS. SO WE'RE THE SOLE FUNDING SOURCE FOR  
16 THIS INITIATIVE; IS THAT RIGHT, CIRM IS?

17 DR. YAFFE: THAT IS CORRECT.

18 DR. JUELSGAARD: AND WHEN WE DID THIS, DO  
19 WE HAVE CONTRACTUAL AGREEMENTS WITH THE TWO ENTITIES  
20 THAT ARE INVOLVED?

21 DR. YAFFE: WE DO.

22 DR. JUELSGAARD: HOW IS THE -- HOW DO WE  
23 PAY THEM? SO IT'S \$20 MILLION, AS I RECALL, THAT  
24 WAS FUNDED IN EACH ONE OF THEM, OR IS THAT UP TO \$20  
25 MILLION? HOW DOES THAT WORK?

**BARRISTERS' REPORTING SERVICE**

1 DR. YAFFE: THE DERIVATION IS 16 MILLION,  
2 THE BANKING IS 10 MILLION. HOW DO WE PAY THEM?

3 DR. JUELSGAARD: NO. NO. NO. SO WE'VE  
4 CLEARLY MAPPED OUT THAT WE'RE GOING TO SPEND THE  
5 TOTAL \$40 MILLION ON 3,000 SAMPLES THE WAY THINGS  
6 ARE CURRENTLY ARRANGED; IS THAT RIGHT?

7 DR. YAFFE: ACTUALLY I BELIEVE THE TOTAL  
8 BUDGET IS \$32 MILLION.

9 DR. JUELSGAARD: 32 MILLION.

10 DR. YAFFE: YES. IT'S A BARGAIN AT \$32  
11 MILLION.

12 DR. JUELSGAARD: WAIT A MINUTE, THEN. SO  
13 IF WE'RE ALREADY ON AN UNDER-SPEND RUN AND WE'VE  
14 APPROVED 40, WHY DO WE EVEN ASK FOR MORE MONEY?  
15 WE'VE GOT EIGHT MILLION -- YOU'VE GOT EIGHT MILLION,  
16 WE'VE GOT \$8 MILLION THAT WE'VE ALREADY APPROVED TO  
17 SPEND ON THIS PROJECT. RIGHT? YOU JUST SAID 32  
18 MILLION AND WE APPROVED 40. LET'S START WITH THAT.

19 DR. YAFFE: I'M NOT AWARE THAT WE HAVE THE  
20 FREEDOM TO TAKE MONEY THAT HAS NOT BEEN AWARDED,  
21 EVEN THOUGH YOU MAY HAVE CONCEPTED IT, AND THEN  
22 APPLY IT TO PROJECTS.

23 DR. JUELSGAARD: SO WHAT YOU'RE PROPOSING  
24 IS JUST TO EXPAND THE SCOPE OF THE UNDERLYING  
25 PROJECT TO INCLUDE THIS, BUT STAY WITHIN THE

**BARRISTERS' REPORTING SERVICE**

1 APPROVED AMOUNT?

2 DR. YAFFE: THAT'S CORRECT.

3 DR. JUELSGAARD: GOT IT. WASN'T CLEAR  
4 ABOUT THAT.

5 CHAIRMAN THOMAS: OS.

6 DR. STEWARD: SO I HAVE GENERALLY THE SAME  
7 SENSE THAT JEFF HAS ABOUT THIS. IT SEEMS LIKE THIS  
8 IS SOMETHING THAT HAS TO BE COMPETED. IF YOU'RE  
9 BUYING ANY PRODUCT OR SERVICE WITH STATE MONEY, IT'S  
10 GOT TO BE A COMPETITIVE PROCESS AND NOT JUST KIND OF  
11 AN AWARD.

12 I'M ALSO -- MAYBE THIS HAS ALREADY BEEN  
13 WORKED OUT, AND I APOLOGIZE IF IT. IT'S OBVIOUSLY  
14 CORRECT THAT THIS COULD BE A HUGE RESOURCE FOR  
15 MINING DATA RELATING CLINICAL CONDITION WITH  
16 GENOMICS. HOWEVER, THAT DEPENDS CRITICALLY ON THE  
17 CONSENTING GOING IN AT THE TIME OF TISSUE  
18 COLLECTION. AND HAS THAT ACTUALLY BEEN WORKED OUT  
19 IN A WAY THAT ALL MAKES SENSE?

20 I'M SAYING THAT BECAUSE THAT'S REALLY --  
21 THAT WOULD ACTUALLY NORMALLY BE PART OF A PROPOSAL  
22 THAT WOULD COME FORWARD TO HAVE PEOPLE LOOK AT IT  
23 AND SAY, YES, THIS ACTUALLY MAKES SENSE.

24 DR. YAFFE: YES. AND THIS CONSENT WOULD  
25 INCLUDE GENETIC AND GENOMIC ANALYSIS OF DNA FROM THE

## BARRISTERS' REPORTING SERVICE

1 TISSUE SAMPLES. SO IT'S BEEN APPROVED, IN FACT, BY  
2 A NUMBER OF THE IRB'S OF THE TISSUE COLLECTORS  
3 INCLUDES THIS ACTIVITY.

4 DR. STEWARD: THAT'S ONE THING. BUT  
5 RELATING THAT TO CLINICAL DATA IS ANOTHER ENTIRELY.  
6 AND I'M JUST SAYING THAT AT THE STAGE OF THE  
7 CONSENTING, THERE ACTUALLY HAS TO BE A PRETTY CLEAR  
8 AND CAREFULLY WORDED STATEMENT TO THAT REGARD. I'M  
9 JUST ASKING IF ALL THAT'S IN PLACE.

10 DR. YAFFE: AS I UNDERSTAND IT, ALL OF IT  
11 IS IN PLACE. UNFORTUNATELY GEOFF LOMAX IS NOT HERE,  
12 WHO'S OUR OFFICER WHO HANDLES MUCH OF THIS WORK ON  
13 CONSENT AND IRB. BUT FROM MY UNDERSTANDING, THAT IS  
14 IN PLACE IN FACT.

15 DR. STEWARD: AGAIN, I WOULD STILL SAY  
16 THAT THAT'S SOMETHING THAT WOULD NORMALLY BE A  
17 REVIEWED SET OF THINGS RATHER THAN JUST, YES, IT'S  
18 IN PLACE AND LET'S GIVE TWO MILLION BUCKS. SO JUST  
19 TO SUPPORT WHAT JEFF SAID, I THINK HAS TO BE -- IT'S  
20 FINE IF IT'S AN RFP OR A CONTRACT PROPOSAL OR  
21 SOMETHING LIKE THAT. I THINK IT'S A GREAT IDEA, BUT  
22 JUST SAYING, OKAY, THESE GUYS GET THE MONEY, I'M  
23 UNCOMFORTABLE WITH THAT.

24 MR. SHEEHY: AND YOU RAISE THE QUESTION  
25 TOO WITH CONSENT. SO IF THE IRB'S HAVE CONSENTED TO

## BARRISTERS' REPORTING SERVICE

1 THE COLLECTION OF THIS TYPE OF INFORMATION, BUT THIS  
2 WASN'T IN THE ORIGINAL BUDGET, IT MAKES ME WONDER IF  
3 WE PICKED THE RIGHT GRANTEE IN THE FIRST PLACE, I  
4 MEAN HONESTLY. THE CONTRADICTIONS HERE AND THE LACK  
5 OF INFORMATION AND JUST THE NONGOVERNMENTAL PROCESS  
6 THAT'S BEING DEPLOYED HERE IS VERY TROUBLING.

7 CHAIRMAN THOMAS: SO, MR. YAFFE, WHAT I  
8 WOULD THINK IS A GOOD IDEA HERE, BECAUSE THE  
9 UNDERLYING SUGGESTION AS TO THE VALUE OF WHAT YOU'RE  
10 LOOKING FOR, I THINK, HAS A LOT OF MERIT. I DON'T  
11 THINK ANYONE CONTESTS THAT. BUT WE HAVE SERIOUS  
12 PROCEDURAL ISSUES. WHAT I WOULD RECOMMEND HERE IS,  
13 IN THE EVENT MR. SHEEHY'S MOTION PASSES, IS THAT YOU  
14 GO BACK TO THE -- GIVE THIS A BIT MORE THOUGHT, PUT  
15 IT TOGETHER IN SOMETHING THAT CONFORMS TO NORMAL  
16 PROCESS, AND THEN BE PREPARED TO BRING IT BACK AND  
17 HAVE DISCUSSION AT THAT POINT ON THE THEORY THAT THE  
18 ACTUAL SUBSTANTIVE ISSUE IS A PROFOUND ONE, WHICH I  
19 THINK IT IS.

20 MR. SHEEHY: THAT'S WHY I WOULD LIKE TO  
21 HAVE IT PEER REVIEWED BECAUSE THAT WILL CONFIRM THAT  
22 SUPPOSITION.

23 CHAIRMAN THOMAS: THAT'S RIGHT. SO I  
24 THINK WITH THAT IN MIND, I DON'T KNOW THAT WE NEED  
25 ANY --

**BARRISTERS' REPORTING SERVICE**

1 DR. STEWARD: JUST ONE OTHER THING. I  
2 THINK IT SHOULD BE A COMPETITIVE PROCESS AS WELL.  
3 THEY'RE SORT OF THE OBVIOUS PEOPLE, BUT MAYBE  
4 SOMEBODY ELSE CAN DO THE SAME THING CHEAPER.

5 CHAIRMAN THOMAS: OKAY. ANY FURTHER  
6 DISCUSSION BY MEMBERS OF THE BOARD? COMMENTS FROM  
7 MEMBERS OF THE PUBLIC? OKAY. DO WE NEED A ROLL  
8 CALL ON THIS? NO. COULD YOU PLEASE RESTATE THE  
9 MOTION, MR. HARRISON.

10 MR. HARRISON: AS I UNDERSTAND IT, THE  
11 MOTION IS NOT TO FUND THE SUPPLEMENT TO HIPSC  
12 DERIVATION AWARD.

13 CHAIRMAN THOMAS: OKAY. EVERYBODY GOT  
14 THAT? VOICE VOTE. ALL THOSE IN FAVOR OF THAT  
15 MOTION PLEASE SAY AYE. OPPOSED? ABSTENTIONS?

16 MS. BONNEVILLE: WE JUST NEED A ROLL CALL  
17 VOTE FOR THOSE ON THE PHONE.

18 ART TORRES.

19 MR. TORRES: AYE.

20 MS. BONNEVILLE: SUE BRYANT.

21 DR. BRYANT: YES.

22 MS. BONNEVILLE: LARS BERGLUND.

23 DR. BERGLUND: YES.

24 MS. BONNEVILLE: THANK YOU.

25 DR. YAFFE: THANK YOU FOR YOUR

**BARRISTERS' REPORTING SERVICE**

1 CONSIDERATION.

2 CHAIRMAN THOMAS: MR. YAFFE, YOU HAVE YOUR  
3 MARCHING ORDERS. THANK YOU.

4 WE'RE NOW GOING TO PROCEED TO ITEM NO. 10.  
5 SO YOU WILL RECALL, THIS IS ON THE CRITERIA FOR THE  
6 SELECTION OF OUR NEXT PRESIDENT. AS YOU RECALL FROM  
7 YESTERDAY, I MENTIONED THE PRESIDENTIAL SEARCH  
8 SUBCOMMITTEE HAS BEEN BUSILY AT WORK. WE'VE HAD TWO  
9 MEETINGS, ONE OF WHICH ENDED UP IN THE ELECTION OF  
10 KORN FERRY AS OUR SEARCH FIRM. WHAT REMAINS TO BE  
11 ADOPTED HERE IS THE SET OF CRITERIA.

12 YOU HAVE IT. IT'S TAB 10 IN YOUR PACKAGE.  
13 WE HAD A LOT OF DISCUSSION ON THIS.

14 MR. HARRISON: JUST FOR MEMBERS, IF YOU'RE  
15 LOOKING IN YOUR BINDER, YOU HAVE TWO COPIES OF IT,  
16 ONE OF WHICH HAS A REDLINE TO REFLECT THE CHANGES  
17 MADE AT THE PRESIDENTIAL SEARCH SUBCOMMITTEE ON  
18 TUESDAY EVENING. SO THAT'S WHAT YOU SHOULD LOOK AT.

19 CHAIRMAN THOMAS: THANK YOU. SO WE HAD  
20 QUITE EXTENSIVE DISCUSSION ON THIS, WHICH I'M HAPPY  
21 TO SUMMARIZE. BUT IF YOU READ THROUGH THE CRITERIA,  
22 I THINK YOU WILL HAVE A COMPREHENSIVE FEEL FOR ALL  
23 THE DIFFERENT ELEMENTS WE PROPOSE LOOKING FOR IN THE  
24 NEXT PRESIDENT. AND THIS IS SOMETHING THAT WE DO  
25 NEED TO FORMALLY ADOPT THROUGH A MOTION. SO I WOULD

**BARRISTERS' REPORTING SERVICE**

1 ASK THAT SOMEBODY MAKE A MOTION THAT WE APPROVE THE  
2 CRITERIA.

3 MR. SHEEHY: SO MOVED.

4 MR. TORRES: SO MOVED.

5 DR. PRIETO: SECOND.

6 CHAIRMAN THOMAS: IT'S BEEN MOVED IN A TIE  
7 BY MR. SHEEHY AND SENATOR TORRES AND SECONDED BY DR.  
8 PRIETO.

9 SO IF EVERYBODY COULD JUST TURN AND LOOK  
10 AT THAT AND JUST SEE IF ANYBODY HAS PARTICULAR  
11 QUESTIONS OR COMMENTS OR WOULD LIKE FURTHER  
12 EXPLANATION ON THE VARIOUS ITEMS LISTED ON THAT  
13 DOCUMENT. SEEING NO COMMENTS, DO WE HAVE COMMENTS  
14 FROM MEMBERS OF THE PUBLIC? ANY COMMENT BY MEMBERS  
15 ON THE PHONE? OKAY. THANK YOU. I THINK WE CAN  
16 PROCEED TO A VOTE. MR. HARRISON, VOICE VOTE IS  
17 FINE. ALL THOSE IN FAVOR OF ADOPTING THE LIST OF  
18 CRITERIA IN TAB 10 FOR OUR PRESIDENTIAL SEARCH  
19 PLEASE SAY AYE. OPPOSED? ABSTENTIONS? VERY GOOD.  
20 MOTION PASSED UNANIMOUSLY.

21 MS. BONNEVILLE: ART TORRES.

22 MR. TORRES: AYE.

23 MS. BONNEVILLE: SUE BRYANT.

24 DR. BRYANT: YES.

25 MS. BONNEVILLE: LARS BERGLUND.



**BARRISTERS' REPORTING SERVICE**

1 DR. BERGLUND: YES.

2 MS. BONNEVILLE: THANK YOU.

3 CHAIRMAN THOMAS: OKAY. LIKE I WAS  
4 SAYING, UNANIMOUS APPROVAL. I DIDN'T HEAR WHAT YOU  
5 SAID. GIL WOULD LIKE TO PRESENT THE NEXT ITEM, IS  
6 THAT WHAT YOU'RE SAYING, WHICH IS ITEM NUMBER WHAT?

7 MR. HARRISON: ELEVEN.

8 CHAIRMAN THOMAS: ELEVEN. PERFECT. FIRST  
9 TIME IN THIS ENTIRE TWO DAYS WE'VE ACTUALLY MOVED  
10 SEQUENTIALLY IN THE RIGHT ORDER AS LISTED ON THE  
11 AGENDA.

12 DR. SAMBRANO: THANK YOU VERY MUCH, MR.  
13 CHAIR, MEMBERS OF THE BOARD. I'M BRINGING TO YOU  
14 THREE NOMINEES FOR GRANTS WORKING GROUP MEMBERSHIP,  
15 WHO BRING US EXPERTISE IN STEM CELL THERAPY  
16 DEVELOPMENT, REGULATORY AFFAIRS IN CARDIOVASCULAR  
17 DISEASE. THEIR BRIEF BIOS ARE IN YOUR BOOKS UNDER  
18 ITEM 11.

19 THE NOMINEES ARE DRS. STEWART ABBOTT,  
20 CAROL DANIELSON, AND WARREN SHERMAN. SO WE SEEK  
21 YOUR APPROVAL OF THESE INDIVIDUALS AS OUR MEMBERS OF  
22 OUR WORKING GROUP.

23 DR. PRIETO: MOVE APPROVAL.

24 MR. SHEEHY: SECOND.

25 CHAIRMAN THOMAS: IT'S BEEN MOVED AND

## BARRISTERS' REPORTING SERVICE

1       SECONDED. ANY DISCUSSION BY MEMBERS OF THE BOARD?  
2       LIKE THE LATEST IN THE SERIES OF EMINENTLY QUALIFIED  
3       MEMBERS FOR THIS, DR. SAMBRANO, THANK YOU. ANY  
4       COMMENTS FROM MEMBERS OF THE PUBLIC? COMMENTS BY  
5       MEMBERS ON THE PHONE? ALL THOSE IN FAVOR OF THE  
6       MOTION PLEASE SAY AYE. OPPOSED? ROLL CALL.

7               MS. BONNEVILLE: ART TORRES. SUE BRYANT.

8               DR. BRYANT: YES.

9               MS. BONNEVILLE: LARS BERGLUND.

10              DR. BERGLUND: THANK YOU.

11              CHAIRMAN THOMAS: THANK YOU. THAT MOTION  
12      PASSES. THANK YOU, DR. SAMBRANO.

13              LET'S SEE HERE. WE'RE DOWN TO THE VERY  
14      CONTROVERSIAL ITEM OF APPROVING THE BOARD MINUTES  
15      FOR THE LAST MEETING. DO I HEAR A MOTION?

16              DR. STEWARD: SO MOVED.

17              CHAIRMAN THOMAS: MOVED BY DR. STEWARD.

18      SECONDED BY?

19              MR. ROWLETT: SECOND.

20              CHAIRMAN THOMAS: BY MR. ROWLETT. I'M NOT  
21      EVEN GOING TO ASK FOR COMMENT. ALL THOSE -- GROSSLY  
22      BREACHING MR. HARRISON'S ROBERT'S RULES OF ORDER.

23      ALL THOSE IN FAVOR PLEASE SAY AYE. OPPOSED? THOSE  
24      ON THE PHONE?

25              DR. BRYANT: AYE.

**BARRISTERS' REPORTING SERVICE**

1 CHAIRMAN THOMAS: I HEARD SUE. AND I  
2 HEARD LARS TOO.

3 DR. BERGLUND: YES.

4 CHAIRMAN THOMAS: THERE YOU GO. MOTION  
5 APPROVED.

6 ITEM 17, CONSIDERATION OF ADOPTION OF  
7 AMENDMENTS TO SECTION 100601. IF ANYBODY KNOWS WHAT  
8 THAT IS BEFORE MR. TOCHER SPEAKS, YOU GET EXTRA  
9 BONUS POINTS.

10 MR. TOCHER: THANK YOU, CHAIRMAN AND  
11 MEMBERS. THIS IS JUST A QUICK ITEM, HOUSEKEEPING  
12 ITEM. EARLIER THIS YEAR AT YOUR LAST MEETING, YOU  
13 APPROVED A FINAL SET OF AMENDMENTS TO OUR  
14 INTELLECTUAL PROPERTY REGULATIONS THAT, AMONG OTHER  
15 THINGS, DEFINE THE FORMULA FOR COMPUTING LICENSING  
16 REVENUE THAT IS SHARED WITH THE STATE FROM OUR  
17 GRANTEES.

18 AS PART OF THE FORMAL ADOPTION PROCESS, AS  
19 SOME OF YOU MAY KNOW, THE OFFICE OF ADMINISTRATIVE  
20 LAW TAKES A LOOK AT THESE REGULATIONS BEFORE THEY GO  
21 INTO EFFECT. AND IN THIS CASE WITH THIS REGULATION  
22 601, THEY HAD A RECOMMENDATION TO PROVIDE FURTHER  
23 CLARITY TO ONE OF THE SUBDIVISIONS. AND THAT'S  
24 FOUND ON PAGE 5 OF THE ATTACHMENT IN ITALICS.

25 THEIRS IS JUST SIMPLY TO MAKE CLEAR THE

**BARRISTERS' REPORTING SERVICE**

1 OPERATION OF THE FORMULA WITH RESPECT TO NONPROFIT  
2 GRANTEES. IT IS A POLICY THAT HAS ALWAYS BEEN  
3 EMBODIED IN OUR REGULATIONS, BUT THEY FELT THE TEXT  
4 COULD BE CLEARER ON THAT POINT. SO AS A RESULT,  
5 CIRM RECIRCULATED WITH OAL'S PROPOSED CLARIFICATION,  
6 WE CIRCULATED THAT FOR PUBLIC COMMENT. AND NOT  
7 SURPRISINGLY, NONE WAS RECEIVED. AND SO AS A FINAL  
8 STEP, WE BRING THOSE OAL-INSPIRED AMENDMENTS BACK  
9 FOR YOUR APPROVAL SO THAT WE CAN THEN RETURN IT TO  
10 OAL FOR FINAL APPROVAL. SO SEEKING A MOTION TO  
11 APPROVE THE AMENDMENTS.

12 DR. STEWARD: MOVE TO APPROVE.

13 DR. PRIETO: SECOND.

14 CHAIRMAN THOMAS: IT'S BEEN MOVED AND  
15 SECONDED. DO WE HAVE ANY DISCUSSION BY MEMBERS OF  
16 THE BOARD? ALL THOSE IN FAVOR PLEASE SAY AYE.  
17 OPPOSED? ABSTENTIONS? I THINK I HEARD SUE AND LARS  
18 ON THE PHONE.

19 DR. BRYANT: YES.

20 DR. BERGLUND: YES.

21 MR. TORRES: TORRE IS AYE. YES. I THINK  
22 I WAS NOT RECORDED ON THE LAST VOTE BECAUSE I WAS ON  
23 MUTE BY ACCIDENT.

24 CHAIRMAN THOMAS: WE'LL SO NOTE AND AMEND.

25 OKAY. SO I BELIEVE WE'RE DOWN TO GENERAL

**BARRISTERS' REPORTING SERVICE**

1 PUBLIC COMMENT ON ANYTHING AND EVERYTHING. ITEM 8  
2 WE ARE DEFERRING, MR. JUELSGAARD, BECAUSE MR. LOMAX  
3 IS NOT HERE. YOU WILL NOTE THERE IS AN AGENDIZED  
4 SPOT FOR CLOSED SESSION. WE HAVE NO NEED FOR ONE.

5 MR. HARRISON: FOR THE RECORD, THE MOTION  
6 TO APPROVE AMENDMENT TO REGULATION 100601 PASSED.

7 CHAIRMAN THOMAS: I MUST HAVE MISSED  
8 SAYING THAT. THANK YOU, MR. HARRISON. AS ALWAYS ON  
9 TOP OF YOUR GAME.

10 HOWEVER, I WOULD LIKE TO NOTE THAT THE  
11 HIGH ACHIEVEMENT AWARD FOR THIS LENGTHY TWO-DAY  
12 SESSION GOES TO DIANE WINOKUR, WHO DID SOMETHING  
13 THAT, AS FAR AS I KNOW, NEVER HAPPENED IN MR.  
14 KLEIN'S TENURE AND HADN'T HAPPENED YET IN MY TENURE,  
15 WHICH WAS TO ASK A QUESTION THAT ACTUALLY STUMPED  
16 MR. HARRISON AND REQUIRED THAT HE RESEARCH BEFORE  
17 RESPONDING. SO, DIANE, YOU GET THE AWARD.

18 (APPLAUSE.)

19 CHAIRMAN THOMAS: SO WITH THAT, I WOULD  
20 LIKE TO THANK EVERYBODY. THIS HAS BEEN A VERY LONG  
21 COUPLE OF DAYS, HIGHLY SUBSTANTIVE COUPLE OF DAYS.  
22 WE GOT THROUGH A GREAT MANY THINGS, HAD VERY EARNEST  
23 DISCUSSION. I WANT TO THANK EVERYBODY FOR STICKING  
24 IT OUT. AND TO THOSE OF YOU ON THE PHONE AS WELL,  
25 WISH EVERYBODY A VERY HAPPY HOLIDAY AND WE WILL SEE

**BARRISTERS' REPORTING SERVICE**

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

YOU ALL ON JANUARY 29TH. WE STAND ADJOURNED.  
(THE MEETING WAS THEN CONCLUDED AT  
02:38 P.M.)

**BARRISTERS' REPORTING SERVICE**

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

LUXE HOTEL  
11461 SUNSET BOULEVARD  
LOS ANGELES, CALIFORNIA  
ON  
DECEMBER 11, 2013

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152  
BARRISTERS' REPORTING SERVICE  
160 S. OLD SPRINGS ROAD  
SUITE 270  
ANAHEIM, CALIFORNIA  
(714) 444-4100