

BEFORE THE
SCIENTIFIC AND MEDICAL ACCOUNTABILITY
STANDARDS WORKING GROUP
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: LUXE HOTEL
11461 W. SUNSET BOULEVARD
LOS ANGELES, CALIFORNIA

DATE: FEBRUARY 17 AND 18, 2009

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO.: 83498 AND 83499

BARRISTERS' REPORTING SERVICE

I N D E X

ITEM	DESCRIPTION	PAGE NO.
CALL TO ORDER		3
ROLL CALL		3
STAFF REPORT:		
	REGULATORY UPDATE - LOMAX	4
	UPDATE ON CIRM OUTREACH - GIBBONS	11
	UPDATE ON SCIENTIFIC PROGRAM AND RESEARCH PRIORITIES FOR CIRM - TROUNSON	15
SWG CLINICAL TRIALS WORKSHOP:		
	MARIE CSETE	26
	ALTA CHARO	34
	E. J. READ	58
	BRUCE DOBKIN	100
	MARK KALICHMAN	215
	STEVE PECKMAN	261, 350
	INSU HYUN	306
REGULATORY AND POLICY ISSUES:		
	DISCUSSION ON REPORTING REQUIREMENTS FOR OOCYTE DONATION - SWEEDLER	182
WRAP-UP		366
ADJOURNMENT		387

BARRISTERS' REPORTING SERVICE

1 LOS ANGELES, CALIFORNIA; TUESDAY, FEBRUARY 17, 2009

2 1 P.M.

3
4 CHAIRMAN LO: GOOD AFTERNOON. WELCOME TO
5 THE MEETING OF THE STANDARDS WORKING GROUP OF THE
6 CIRM. WE'RE DELIGHTED TO BE IN LOS ANGELES, AND
7 THANK SHERRY LANSING AND OTHERS FOR ARRANGING SUCH
8 WONDERFUL WEATHER FOR US, WHICH IS, NO MATTER WHERE
9 WE CAME FROM, IT'S BETTER HERE THAN IT WAS WHERE WE
10 TOOK OFF FROM THIS MORNING.

11 MS. LANSING: AND IT'S BETTER HERE THAN IT
12 WAS THIS MORNING ALSO AT THE MOMENT.

13 CHAIRMAN LO: SO WE HAVE A VERY FULL AND
14 INTERESTING AND EXCITING AGENDA FOR THE NEXT TWO
15 DAYS. WHY DON'T WE START -- PAT, DO YOU WANT TO
16 CALL THE -- DO THE ROLL CALL. GEOFF, DO YOU WANT TO
17 DO IT REAL QUICK?

18 DR. LOMAX: WE WILL DO A ROLL CALL OF THE
19 MEMBERS PRESENT. ANN KIESSLING.

20 DR. KIESSLING: HERE.

21 DR. LOMAX: FRANCISCO PRIETO.

22 DR. PRIETO: HERE.

23 DR. LOMAX: JEFF SHEEHY.

24 MR. SHEEHY: HERE.

25 DR. LOMAX: DOROTHY ROBERTS.

BARRISTERS' REPORTING SERVICE

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

DR. ROBERTS: HERE.

DR. LOMAX: ALTA CHARO.

DR. CHARO: HERE.

DR. LOMAX: BERNARD LO.

CHAIRMAN LO: HERE.

DR. LOMAX: SHERRY LANSING.

MS. LANSING: HERE.

DR. LOMAX: JOHN WAGNER.

DR. WAGNER: HERE.

DR. LOMAX: JOSE CIBELLI.

DR. CIBELLI: HERE.

DR. LOMAX: ROBERT TAYLOR.

DR. TAYLOR: HERE.

DR. LOMAX: TED PETERS.

DR. PETERS: TED PETERS, HERE.

DR. LOMAX: THOSE ARE THE MEMBERS PRESENT.

CHAIRMAN LO: THANK YOU. GEOFF, DO YOU
WANT TO START WITH THE STAFF REPORT ALONG AS YOU'RE
UP?

DR. LOMAX: YEAH.

CHAIRMAN LO: OKAY. NEXT LET'S TURN TO A
STAFF REPORT FROM GEOFF ON SEVERAL ITEMS OF INTEREST
AND IMPORTANCE TO THE SWG.

DR. LOMAX: IT ACTUALLY WORKED. THAT'S
GOOD. GOOD AFTERNOON. WHAT I WANTED TO COVER IN

BARRISTERS' REPORTING SERVICE

1 THE FIRST STAFF REPORT THIS AFTERNOON IS AN UPDATE
2 ON THE RECENT REGULATORY REVISIONS TO THE MEDICAL
3 AND ETHICAL STANDARDS. THESE WERE REVISIONS WHICH
4 WERE DISCUSSED IN THE DECEMBER MEETING, AND WE
5 WANTED TO PROVIDE AN UPDATE ON THE PROGRESS OF THE
6 REVISIONS.

7 THE MAJOR ITEMS THAT WERE DEVELOPED AND
8 THE REVISIONS WERE THE CLARIFICATION OF THE
9 OVERSIGHT REQUIREMENTS FOR IPS RESEARCH AND THE
10 CONSENT REQUIREMENTS TO FACILITATE THE USE OF IPS
11 CELLS.

12 THE ACTUAL LANGUAGE IS INCLUDED IN YOUR
13 PACKET AND IS AVAILABLE FOR THE PUBLIC. WE'VE DONE
14 TWO ITEMS. ONE IS A MARKUP OF THE REGULATIONS, AND
15 THE SECOND ITEM IS A KEY TIES THE MARKUPS TO THE
16 SORT OF POLICY OBJECTIVES. I DO WANT TO MAKE ONE
17 NOTE ON ITEM NO. 6 THERE SHOULD BE STRUCK. I WILL
18 DISCUSS THAT IN A MINUTE, BUT THE KEY IS NOT UP TO
19 DATE, BUT THE REGULATORY LANGUAGE, THE ACTUAL
20 DOCUMENT IS. THERE IS AN ITEM NO. 6 IN THE KEY THAT
21 EFFECTIVELY DOESN'T APPLY BECAUSE THERE'S NOTHING IN
22 THE REGULATIONS THAT CORRESPOND TO THAT ITEM. AND
23 AGAIN, I'LL TOUCH BASE ON THAT IN A MOMENT.

24 SO IF YOU REMEMBER THE DISCUSSION IN
25 DECEMBER, WE FIRST TALKED ABOUT OVERSIGHT. AND THE

BARRISTERS' REPORTING SERVICE

1 IMPORTANT POINT THERE IS THAT THERE WAS A NEED FOR
2 CLARIFICATION AMONG SCRO COMMITTEES ABOUT WHAT LEVEL
3 OF NOTIFICATION IS REQUIRED FOR GENERAL RESEARCH,
4 GENERAL REPROGRAMMING RESEARCH. AND THE CONCLUSION
5 OF THE DECEMBER MEETING WAS THAT FULL REVIEW WAS NOT
6 REQUIRED FOR DERIVATION OF INDUCED PLURIPOTENCY
7 USING SOMATIC CELLS.

8 THE CHANGES TO THE REGULATIONS ARE IN
9 SECTION 100070 WHERE IT MAKES CLEAR THAT
10 REPROGRAMMING IS AN ITEM THAT REQUIRES NOTIFICATION
11 OF THE OVERSIGHT COMMITTEE, BUT NOT FULL REVIEW.

12 IN ADDITION, FOR REPROGRAMMING WORK, THERE
13 WAS A NEED TO ALLOW REPROGRAMMING WORK TO OCCUR ON
14 SOMATIC CELLS WHERE THE DONORS HAD PROVIDED GENERAL
15 CONSENT FOR THE USE OF THE CELLS IN RESEARCH, BUT
16 THE DETAILED CIRM CONSENT THAT IS DESCRIBED IN OUR
17 REGULATION IS NOT -- WOULD NOT BE REQUIRED TO DO
18 BASIC IN-VITRO RESEARCH. SO IF YOU LOOK WHERE THESE
19 MODIFICATIONS ARE INCORPORATED ARE IN SECTION
20 100090. AND WHAT YOU WILL SEE THERE IS A MORE
21 GENERAL CONSENT REQUIREMENT FOR THE USE OF SOMATIC
22 CELLS WITH THE CAVEAT THAT SPECIFIC CONSENT FOR THE
23 TRANSPLANTATION OF CELL PRODUCTS INTO HUMANS, THERE
24 MUST BE CONSENT FROM THE DONOR FOR TRANSPLANTATION
25 TO HUMANS. AND, AGAIN, THIS WAS CONSISTENT WITH THE

BARRISTERS' REPORTING SERVICE

1 DISCUSSIONS IN THE DECEMBER MEETING.

2 IN ADDITION, THE REGULATIONS REFLECT
3 PREVIOUSLY APPROVED INTERIM REGULATIONS. THESE WERE
4 REGULATIONS THAT WERE DISCUSSED BY THIS WORKING
5 GROUP ACTUALLY THE LAST TIME WE MET HERE, WHICH I
6 BELIEVE WAS JULY OF LAST YEAR. AND THESE WERE
7 REGULATIONS THAT HAD BEEN APPROVED BY THE ICOC ON AN
8 INTERIM BASIS, WHICH MEANS THEY'RE IN EFFECT FOR 270
9 DAYS FROM THE DATE OF APPROVAL. THEREFORE, THE
10 ICOC, WE NEED TO -- THE ICOC HAS TO MAKE A FINAL
11 DECISION WHETHER TO INCORPORATE THOSE AS PERMANENT
12 REGULATIONS.

13 AND THIS WAS -- THESE REGULATIONS WERE THE
14 REGULATIONS THAT AUTHORIZED THE USE OF EMBRYOS
15 CREATED FROM GAMETE DONORS FOR WHICH THE DONOR WAS
16 PAID AND MAKING MODIFICATIONS TO THE REGULATIONS
17 ALLOWING THE USE OF SOME EMBRYOS WHERE THE EXACT
18 CONSENT REQUIREMENTS OF THE CIRM CONSENT
19 REQUIREMENTS ARE NOT REQUIRED. SO LET ME TRY
20 TO -- IT'S A COMPLICATED POINT, SO LET ME HOPEFULLY
21 CLEAR THAT UP WITH A COUPLE OF GRAPHS THAT WILL
22 ILLUSTRATE THIS POINT MORE CLEARLY.

23 FIRST, WITH REGARD TO EMBRYOS FROM WHICH A
24 GAMETE DONOR WAS PAID, THE POLICY RECOMMENDATION WAS
25 THAT IF THE EMBRYO WAS CREATED PRIOR TO AUGUST 2008

BARRISTERS' REPORTING SERVICE

1 AND THE EMBRYO WAS CREATED FOR REPRODUCTIVE
2 PURPOSES, THEN THOSE EMBRYOS COULD BE UTILIZED IN
3 CIRM-FUNDED RESEARCH. AND AGAIN, SECTION 100090 HAS
4 MODIFICATIONS THAT REFLECT THAT CUTOFF DATE AND THAT
5 RECOMMENDATION.

6 IN ADDITION, THERE WAS DISCUSSION ABOUT
7 THE CONSENT REQUIREMENTS FOR THE USE OF EMBRYOS.
8 AND HERE, AGAIN, WHAT THE MODIFICATIONS MAKE
9 EXPLICIT IS THAT PRIOR TO NOVEMBER 2006, WHICH WAS
10 THE DATE THE CIRM REGULATIONS TOOK EFFECT, A SORT OF
11 GENERAL RESEARCH CONSENT PROVIDED BY THE GAMETE
12 DONORS IS SUFFICIENT FOR THE PURPOSE OF USING THOSE
13 MATERIALS IN RESEARCH. AND THEN AFTER THAT DATE,
14 AND, IN FACT, THAT GREEN BOX DOESN'T SORT OF CUT OFF
15 IN 2008. IT SHOULD MOVE OUT INTO THE FUTURE -- THE
16 CIRM-SPECIFIC CONSENT REQUIREMENTS THEN TAKE EFFECT
17 AFTER -- FROM ANY EMBRYO CONSENTED FOR RESEARCH
18 AFTER NOVEMBER 2006.

19 SO PAUSE THERE FOR A MOMENT AND I HOPE
20 THAT WAS CLEAR. ARE THERE ANY QUESTIONS IN TERMS OF
21 WHAT THESE MODIFICATIONS DO?

22 DR. TAYLOR: GEOFF, THAT WAS FOR RESEARCH
23 ONLY PURPOSES?

24 DR. LOMAX: NO. THESE WOULD BE FOR -- IN
25 THIS CASE WE'RE DEALING WITH EMBRYOS CREATED FOR IVF

BARRISTERS' REPORTING SERVICE

1 OR REPRODUCTIVE PURPOSES, AND IT'S THE NATURE OF THE
2 CONSENT. SO IF YOU RECALL, OUR REGULATIONS HAVE A
3 VERY DETAILED AND PRESCRIPTIVE SET OF ITEMS THAT
4 NEEDS TO BE CONVEYED IN THE CONSENT. EFFECTIVELY
5 WHAT THIS DOES IS SAY, LOOK, IF YOU PROCURED THE
6 EMBRYO FOR RESEARCH PRIOR TO THE DATE OF THESE
7 REGULATIONS TAKING EFFECT, WE CAN'T HOLD YOU TO A
8 CONSENT STANDARD THAT DIDN'T EXIST AT THAT TIME. SO
9 IT SORT OF SEPARATES SORT OF PREREGULATORY CONSENT
10 TO SORT OF POSTREGULATION, AND THEN AFTER NOVEMBER
11 2006, THE REGULATIONS PRESCRIBE A MORE SORT OF
12 DETAILED CONSENT. AND AGAIN, THIS IS CONSISTENT
13 WITH THE CONSENT IN THE NATIONAL ACADEMIES AS WELL,
14 SO THERE'S A KIND OF -- SORT OF THE WHOLE FIELD SORT
15 OF SHIFTED AT THE POINT IN TIME, AND WE'RE TRYING TO
16 RECOGNIZE THAT.

17 SO JUST TO REMIND FOLKS OF THE PROCESS.
18 DR. LO DID A TERRIFIC PRESENTATION IN JANUARY, AND
19 IT WAS VERY WELL RECEIVED BY THE BOARD. IT WILL
20 HAVE TO GO BACK TO THE BOARD IN MARCH FOR FINAL
21 APPROVAL AGAIN BECAUSE THE ISSUE THERE WAS A QUORUM
22 ISSUE, BUT THERE WAS A GOOD DISCUSSION AND A GOOD
23 PRESENTATION AT THE ICOC. AND I THINK EVERYONE
24 LOOKED VERY FAVORABLY ON THIS PACKAGE OF
25 RECOMMENDATIONS. BUT, AGAIN, WE'LL HAVE TO COME

BARRISTERS' REPORTING SERVICE

1 BACK TO THE BOARD ON THE MARCH MEETING TO GET FORMAL
2 APPROVAL, AT WHICH TIME WE'LL BE ABLE TO INITIATE
3 THE PROCESS WITH THE OFFICE OF ADMINISTRATIVE LAW.

4 AND WHAT THAT PROCESS INVOLVES, THAT'S THE
5 PHASE OF THE PROCESS WHERE WE TAKE WHAT YOU HAVE
6 BEFORE YOU, WE PUT OUT A PUBLIC NOTICE, AND THEN
7 WE'RE OPEN TO A FORMAL PUBLIC COMMENT PERIOD, AT
8 WHICH TIME WE CAN RECEIVE COMMENTS FROM THE PUBLIC
9 AND WE'LL HAVE TO RESPOND TO THOSE COMMENTS. IF
10 THERE ARE ANY SUBSTANTIVE CHANGES WE FEEL ARE
11 REQUIRED AS A RESULT OF THOSE COMMENTS, WE WILL COME
12 BACK EITHER TO THE WORKING GROUP OR THE BOARD
13 DEPENDING ON THE NATURE OF THE COMMENTS.

14 AND I THINK THAT COVERS IT FOR
15 REGULATIONS. AGAIN, ITEM 6, AS I SAID, I'D MENTION
16 THAT AT THE END. IT SUGGESTS THERE'S LANGUAGE IN
17 THERE THAT WOULD ALLOW THE USE OF EMBRYOS FOR WHICH
18 THERE WAS AN ANONYMOUS DONOR WITH NO CONSENT. IF
19 YOU READ THE LANGUAGE IN THE KEY, AND, AGAIN, THAT'S
20 A PROVISION THAT SIMPLY ISN'T IN THE REGULATIONS
21 WHATSOEVER, IT'S IN THE KEY IN ERROR, AND IT
22 REFLECTS A DISCUSSION THAT WE HAD PREVIOUSLY AND IS
23 UNRELATED TO THESE MODIFICATIONS. SO I APOLOGIZE
24 FOR THAT. IT WAS JUST AN OVERSIGHT IN THE
25 DOCUMENTATION.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: OKAY. THANKS. THIS WILL BE
2 COMING BACK. GEOFF, DO YOU ALSO WANT TO TELL US
3 ABOUT THE UPDATE ON THE CIRM OUTREACH ACTIVITIES
4 YOU'VE BEEN DOING?

5 DR. LOMAX: I THINK I WILL TURN THE FLOOR
6 OVER TO DON GIBBONS AND ALLOW HIM TO PROVIDE THAT
7 UPDATE. I'D LIKE TO INTRODUCE DON GIBBONS, THE
8 CHIEF COMMUNICATION OFFICER FOR CIRM.

9 MR. GIBBONS: CHAIRMAN LO, MEMBERS OF THE
10 WORKING GROUP, THANKS FOR COMING THIS AFTERNOON.
11 FIRST LET ME CLARIFY WHAT A COMMUNICATIONS CHIEF IS
12 DOING UP AT THIS COMMITTEE. FIRST OFF, I DID
13 INTERFACE WITH THIS REALM A LOT WHEN I WAS AT
14 HARVARD, BUT MORE IMPORTANT, WHEN PRESIDENT TROUNSON
15 HIRED ME, HE MADE IT CLEAR THAT HE DIDN'T WANT A
16 TRADITIONAL COMMUNICATIONS OFFICE. MY AREA IS
17 OVERTLY COMMUNICATION AND EDUCATION, AND HE MADE IT
18 QUITE CLEAR THAT HE WANTED ME TO FOCUS EQUALLY ON
19 PUBLIC EDUCATION EFFORTS.

20 SO GEOFF AND I TEAMED UP A COUPLE WEEKS
21 AGO TO DO A WORKSHOP IN SACRAMENTO THAT WE CALLED
22 "FERTILE GROUND - THE INTERFACE OF REPRODUCTIVE
23 HEALTH AND RESEARCH." AND WE TOOK THE OPPORTUNITY
24 OF THERE NOT BEING ANYTHING TERRIBLY CONTROVERSIAL
25 BEFORE YOU AND BEFORE THE CIRM TO DO AN EDUCATIONAL

BARRISTERS' REPORTING SERVICE

1 OUTREACH IN WHICH THE FIRST HALF OF THE DAY WAS US
2 TRYING TO CREATE A LEVEL PLAYING FIELD OF KNOWLEDGE,
3 AND THE SECOND HALF LISTENING TO, GIVEN THAT, WHAT
4 ARE CONCERNS.

5 WE HAD AN AUDIENCE OF ABOUT 32 PEOPLE,
6 EQUALLY DIVIDED BETWEEN LEGISLATIVE AIDES IN
7 SACRAMENTO THAT WRITE THE LEGISLATION, PATIENT
8 ADVOCATES, AND MEMBERS OF SOCIAL JUSTICE
9 COMMUNITIES. SUSAN FOGEL AND OTHERS WERE THERE.
10 THE LEAD-OFF SPEAKER WAS ANN KIESSLING, COMMITTEE
11 MEMBER, AND SHE DID A WONDERFUL JOB OF LAYING THE
12 GROUNDWORK OF WHY DO WE HAVE IRB'S AND WHAT ARE THEY
13 SUPPOSED TO DO. SOME OF THE BASICS THAT OFTENTIMES
14 WE GET INTO CONVERSATIONS WITH THE GENERAL PUBLIC,
15 THERE'S A DISCONNECT. ON THE RIDE UP TO SACRAMENTO
16 WITH US, SHE DID SOME INTERNET SEARCHING AND
17 DISCOVERED THAT THE COMMISSION THAT CREATED THE
18 BELMONT RULE, BELMONT REPORT THAT RESULTED IN THE
19 COMMON RULE MAY HAVE BEEN RICHARD NIXON'S LAST
20 SIGNATURE BEFORE HE LEFT OFFICE.

21 GREAT FRAMEWORK. THE WOMAN THAT YOU HAVE
22 HEARD FROM AT YOUR MEETING LAST SUMMER FROM THE UCSF
23 TISSUE BANKING SERVICE GAVE A GROUNDWORK OF HOW THAT
24 TISSUE BANK WORKS, WHAT CONSENTS THEY REQUIRE, AND
25 DIFFERENT TYPES OF TISSUE, EGGS, EMBRYOS, ETC. AND

BARRISTERS' REPORTING SERVICE

1 THEN WE HAD A LIVELY DISCUSSION IN THE AFTERNOON,
2 AND WE DID HAVE A FACILITATOR WORKING THE MEETING
3 FOR US. WE FELT THAT WE SHOULD NOT RUN THE MEETING.
4 WE WANTED IT RUN BY FACILITATORS SO IT WOULD BE A
5 BETTER GIVE-AND-TAKE. AND AN EXECUTIVE SUMMARY OF
6 THOSE DISCUSSIONS WILL COME TO YOU SOMETIME IN THE
7 NEXT FEW WEEKS, SO LOOK FORWARD TO THAT.

8 PART OF OUR EDUCATION EFFORT IS TO JUST
9 RAISE THE LEVEL OF KNOWLEDGE OF WHAT CIRM IS
10 ACCOMPLISHING IN TERMS OF SCIENCE THAT IT'S FUNDING.
11 SO OVER THE NEXT EIGHT WEEKS, THERE WILL BE TOWN
12 FORUMS IN SAN FRANCISCO, L. A. , AND SAN DIEGO WITH
13 THREE CIRM-FUNDED RESEARCHERS, ONE KIND OF GIVING AN
14 OVERVIEW, ONE GIVING SOME RESEARCH LEADING TOWARD
15 CELL-BASED THERAPY, AND ONE TALKING ABOUT OTHER USES
16 OF STEM CELLS, WHETHER IT BE AN ASSAY OR CANCER STEM
17 CELLS OR THAT SORT OF THING.

18 AND THEN WE'VE DONE EXTENSIVE WORK ON THE
19 INTERNET THAT IS ACTUALLY BEING ANNOUNCED IN A PRESS
20 RELEASE TODAY. WE HAVE ABOUT 17 VIDEOS UP THAT TAKE
21 PEOPLE ONE STEP AT A TIME, ABOUT FOUR-MINUTE VIDEOS
22 FOR ABOUT 16 DIFFERENT ASPECTS OF STEM CELL
23 RESEARCH. I HATE TO ADMIT THAT THE ONE ON ETHICS IS
24 THE LAST ONE TO GET POSTED BECAUSE OF SCHEDULING
25 ISSUES WITH OUR ETHICIST. BUT THEY ARE UP ON A

BARRISTERS' REPORTING SERVICE

1 UTUBE SITE, SO IF YOU GO INTO UTUBE AND LOOK UNDER
2 CIRM T.V., YOU WILL FIND ALL THESE VIDEOS. AND ALSO
3 TODAY WE'RE ANNOUNCING A FLICKR SITE. IF YOU'RE NOT
4 FAMILIAR WITH FLICKR, IT'S THE NO. 1 SITE FOR
5 SHARING IMAGES.

6 WE HELD A CONTEST AMONGST CIRM GRANTEES
7 OVER THE SUMMER, AWARDED 12 WINNERS OF THAT. WE HAD
8 ABOUT 80 ENTRIES. YOU'VE SEEN THE CALENDAR THAT
9 RESULTED FROM THAT, BUT I DON'T BELIEVE IN USING
10 ANYTHING JUST ONCE. SO THOSE IMAGES HAVE BEEN USED
11 AS BACKDROPS FOR CNN INTERVIEWS WITH ALAN. THEY'LL
12 BE IN THE ANNUAL REPORT WHEN YOU GET IT IN A FEW
13 WEEKS, AND NOW THEY'RE UP ON THE FLICKR SITE WITH A
14 NUMBER OF IMAGES FROM OUR GRANTEE INSTITUTIONS.
15 THERE ARE ABOUT 30 ALTOGETHER NOW. WE'RE GOING TO
16 SHARE THEM LIBERALLY WITH JOURNALISTS SO THAT WHEN
17 THEY ILLUSTRATE A STORY, IT'S WITH A GOOD,
18 WELL-DEFINED IMAGE RATHER THAN SOMETHING VAGUE OR
19 SOMETHING NEGATIVE.

20 SO ANY QUESTIONS? THANK YOU VERY MUCH.

21 CHAIRMAN LO: SO THANKS AGAIN. AND I
22 THINK THE WORK YOU'RE DOING IS REALLY VERY HELPFUL
23 AND SORT OF HELPING THE PUBLIC UNDERSTAND WHAT THE
24 STEM CELL SCIENCE IS ALL ABOUT.

25 ALAN TROUNSON, WOULD YOU PLEASE JUST GIVE

BARRISTERS' REPORTING SERVICE

1 US A BRIEF UPDATE ON THE SCIENTIFIC PROGRAM AND THE
2 RESEARCH PRIORITIES FOR CIRM.

3 DR. TROUNSON: THANKS, BERNIE. I HAD A
4 DISCUSSION WITH THE CO-CHAIRS, BERNARD AND SHERRY,
5 ABOUT THE WORKING GROUP REALLY KEEPING UP ON THE
6 CUTTING EDGE OF WHERE CIRM IS CURRENTLY HEADING, AND
7 THAT IS VERY CLEARLY INTO TRANSLATION AND
8 PRECLINICAL PROGRAMS. SO WE HAVE RELEASED RFA'S ON
9 BOTH TRANSLATION AND DISEASE TEAMS. AND THIS IS
10 REALLY TAKING US VERY, VERY CLOSE TO THE CLINIC.

11 YOU WILL BE AWARE THAT THE COMPANY GERON
12 HAVE BEGUN CLINICAL TRIALS WITH HUMAN EMBRYONIC STEM
13 CELL-DERIVED CELLS. SO WE ARE RIGHT UP AT THAT
14 EDGE. AND I THINK THE ORGANIZATION REALLY NEEDS THE
15 KIND OF INPUTS RIGHT UP AT THAT EDGE FROM THIS GROUP
16 ON WHAT'S REALLY -- WHAT WE'RE REALLY DEALING WITH,
17 WHERE WE'RE ACTUALLY MAKING THE HARD BITE OF WHERE
18 WE'RE GOING. AND TO PUT BACK ON -- TAKE OFF AND PUT
19 IT BACK ON THE BACK BURNER FOR THE TIME SOME ISSUES
20 WHICH NEED TO BE OCCUPIED ABOUT, I THINK, IN THE
21 FUTURE; THAT IS, THE ISSUE OF EGGS, BUT IT'S NOT
22 SUCH A BURNING PRIORITY FOR US AT THE MOMENT AS IS
23 THE CLINICAL TRIALS AREA.

24 SO WITH THE ENCOURAGEMENT OF THE CHAIRS,
25 AND THANK YOU BOTH, BOTH BERNIE AND SHERRY, FOR

BARRISTERS' REPORTING SERVICE

1 BEING SUPPORTIVE IN THIS AND SAYING, WELL, WE SHOULD
2 BE GOING AS FAST AS YOU CAN GO WITH THE FRONT EDGE
3 OF THE ACTUAL SCIENCE AND THE RFA'S AND THE
4 DELIVERY. AND WHILE WE KEEP ON OUR MIND THAT THERE
5 ARE NUMBERS OF THINGS THAT HAVE TO BE TIDIED UP AND
6 ADDRESSED AND THERE COULD BE HARD MATTERS TO BE
7 ADDRESSED, LET'S MAKE SURE THAT WE'RE DEALING WITH
8 THE THINGS THAT WE'RE COMING ON HARD AT.

9 SO WE EXPECT TO BE FUNDING SOME OF THE
10 EARLY TRANSLATIONAL WORK IN A RANGE ACROSS THE
11 SPACE; THAT IS, TAKING THE DISCOVERIES INTO THE
12 PHASE WHERE THEY'RE BEING DEVELOPED FOR CLINICAL
13 APPLICATIONS. AND IN THE CASE OF THE DISEASE TEAMS,
14 THESE ARE GOING TO TAKE US RIGHT UP TO IND'S. WE'RE
15 GOING TO BE RIGHT AT THAT INTERFACE WITH PROVIDING
16 FOR CLINICAL TRIALS.

17 AND THIS IS WHAT CIRM'S MISSION IS ABOUT.
18 IT'S TAKING THE DEVELOPMENTS THAT ARE OCCURRING IN
19 STEM CELLS AND GET THEM INTO THE CLINIC. AND IT'S
20 REALLY, REALLY CRITICAL THAT WE ARE FOCUSED ON WHAT
21 ARE THE KEY ELEMENTS OF CLINICAL TRIALS AND WHERE
22 ARE THE COMPLICATIONS, WHERE ARE THE ETHICAL ISSUES,
23 WHERE SHOULD WE BE ASKING QUESTIONS, AND WHERE
24 SHOULD CIRM BE POSITIONED AT THE FRONT OF THIS WHOLE
25 ENDEAVOR.

BARRISTERS' REPORTING SERVICE

1 SO THIS IS THE REASON WHY WE'RE FOCUSING
2 ON THE CLINICAL TRIALS IN THIS PARTICULAR SESSION.
3 SO I HOPE YOU ENJOY IT BECAUSE THIS IS WHERE WE
4 NEEDED TO GET TO, AND I THINK WE'VE GOT THERE IN A
5 VERY RAPID TIME. I DIDN'T EXPECT JUST AFTER A YEAR
6 OF TAKING HOLD OF THE HELM HERE TO BE TALKING TO YOU
7 ABOUT CLINICAL APPLICATIONS, CLINICAL TRIALS, BUT
8 WE'RE THERE. AND SO I THINK IT'S A FANTASTIC
9 MOMENT. AND IF WE GET YOUR INPUTS INTO SOME OF
10 THESE CLASSICALLY DIFFICULT ISSUES ABOUT CELLS INTO
11 PEOPLE AND HOW IT'S DONE AND WHO OWNS THE CELLS AND
12 WHAT ARE THE ISSUES RELATING TO ALL OF THE THINGS
13 THAT SURROUND THE USE OF CELLS IN THIS PERSPECTIVE,
14 THEN I THINK WE'LL AGAIN, SHOW, THE KIND OF
15 LEADERSHIP THAT WE'VE BEEN DOING IN THE PAST IN THE
16 AREA OF ETHICS WHICH IS WHAT IS REQUIRED ALSO UNDER
17 PROPOSITION 71 THAT WE DEMONSTRATE THE THOUGHTS, THE
18 LEADERSHIP IN THIS PARTICULAR SPACE.

19 SO I HOPE YOU WILL ENJOY THE SESSION AND
20 WE'RE LOOKING FORWARD TO THE KIND OF INPUTS THAT YOU
21 ARE PREPARED TO GIVE US IN THIS REGARD. THANKS.

22 CHAIRMAN LO: SHERRY.

23 MS. LANSING: THANK YOU, ALAN. I TOO WANT
24 TO WELCOME ALL OF THE WORKING GROUP MEMBERS, THE
25 PRESENTERS, AND THE MEMBERS OF THE PUBLIC TO OUR

BARRISTERS' REPORTING SERVICE

1 2009 ANNUAL MEETING OF THE CIRM STANDARDS WORKING
2 GROUP. I THINK OUR ANNUAL MEETING IS A UNIQUE TIME
3 WHEN WE HAVE THE OPPORTUNITY TO REFLECT ON ALL OF
4 OUR PAST ACCOMPLISHMENTS AND REALLY TO CONSIDER THE
5 FUTURE DIRECTION OF THE WORKING GROUP IN A PUBLIC
6 SETTING. AND AS YOU KNOW, WE USE THIS OCCASION
7 REALLY TO CONSIDER THE STATE OF THE STEM CELL
8 SCIENCE WITH REGARD TO CIRM PROGRAMMING AND TO
9 CONSIDER ANY ETHICAL AND POLICY CONSIDERATIONS THAT
10 REQUIRE THE ATTENTION OF THIS WORKING GROUP.

11 I WOULD LIKE TO REMIND ALL THE PUBLIC
12 PARTICIPANTS AND THE GUESTS THAT THE STANDARD
13 WORKING GROUP IS AN ADVISORY GROUP TO CIRM'S
14 GOVERNING BOARD. WE HAVE A DIVERSE MEMBERS OF
15 SCIENTISTS, ETHICS, LEGAL SCHOLARS, AND PATIENT
16 ADVOCATES. AND REALLY BECAUSE WE HAVEN'T DONE THIS
17 FOR A WHILE, FOR THE BENEFIT OF OUR INVITED GUESTS
18 AND THE PUBLIC, I'D LIKE REALLY TO ASK THE MEMBERS
19 OF THIS GROUP TO INTRODUCE THEMSELVES AND JUST
20 BRIEFLY DESCRIBE YOUR AREA OF EXPERTISE. IT'S BEEN
21 A LONG TIME SINCE WE'VE DONE THAT. SO, ANN, I'M
22 GOING TO START WITH YOU AND JUST GO DOWN THE TABLE.

23 DR. KIESSLING: I'M ANN KIESSLING. I WEAR
24 TWO HATS ACTUALLY. I'M AT HARVARD MEDICAL SCHOOL
25 AND HAVE BEEN FOR A LONG TIME, AND I'VE ALSO BEEN

BARRISTERS' REPORTING SERVICE

1 HEADING UP A VERY SMALL STEM CELL RESEARCH

2 ORGANIZATION IN SOMERVILLE, MASS. AND I GUESS MY

3 BASIC BACKGROUND IS IN REPRODUCTIVE BIOLOGY.

4 DR. PRIETO: I'M FRANCISCO PRIETO, AND I'M

5 A PHYSICIAN IN SACRAMENTO, AND MY BACKGROUND HAS

6 BEEN AROUND ISSUES RELATING TO DIABETES AND PATIENT

7 ADVOCACY.

8 MR. SHEEHY: I'M JEFF SHEEHY, AND ONE OF

9 THE PATIENT ADVOCATE MEMBERS OF THE BOARD OF THE

10 CIRM. I'M SORRY. I'M A LITTLE CONFUSED TODAY. I'M

11 A LITTLE OUT OF IT TODAY, SPACEY. I CAN'T EVEN

12 INTRODUCE MYSELF. CAN YOU HEAR IT IN MY VOICE?

13 SO I'M COMMUNICATIONS DIRECTOR AT THE AIDS

14 RESEARCH INSTITUTE AT UCSF, AND I ALSO HAVE A

15 BACKGROUND IN AIDS ADVOCACY. THANKS.

16 DR. ROBERTS: I'M DOROTHY ROBERTS. I'M A

17 PROFESSOR AT NORTHWESTERN UNIVERSITY SCHOOL OF LAW

18 AND ALSO A FACULTY FELLOW AT THE INSTITUTE FOR

19 POLICY RESEARCH THERE. I'VE WRITTEN ON VARIOUS

20 ISSUES OF BIOETHICS, PRINCIPALLY THE ROLE OF RACE,

21 CLASS, AND GENDER IN ISSUES INVOLVING REPRODUCTIVE

22 JUSTICE AND HEALTH. AND I'M CURRENTLY FOCUSING ON

23 RACE-SPECIFIC BIOTECHNOLOGIES.

24 CHAIRMAN LO: LET ME JUST INTERRUPT TO SAY

25 THIS IS ACTUALLY DOROTHY'S FIRST IN-PERSON MEETING.

BARRISTERS' REPORTING SERVICE

1 SHE' S BEEN ON THE PHONE WITH US.

2 DR. ROBERTS: YES. RIGHT.

3 CHAIRMAN LO: AND I JUST WANT TO EXTEND A
4 WARM WELCOME, AND WE' RE DELIGHTED TO HAVE YOU.

5 MS. LANSING: I DO TOO. WELCOME.

6 DR. ROBERTS: THANK YOU.

7 DR. CHARO: I' M ALTA CHARO. I' M A
8 PROFESSOR AT THE UNIVERSITY OF WISCONSIN LAW SCHOOL,
9 A CROSS APPOINTMENT TO THE MEDICAL SCHOOL, AND A
10 FELLOW AT THE MORGRIDGE INSTITUTE FOR RESEARCH IN
11 MADISON, WISCONSIN. I CO-CHAIR THE NATIONAL
12 ACADEMIES HUMAN EMBRYONIC STEM CELL RESEARCH
13 ADVISORY COMMITTEE, AND RECENTLY COMPLETED SERVICE
14 ON THE OBAMA TRANSITION TEAM WORKING FOR THE HHS
15 AGENCY REVIEW FOCUSED MOSTLY ON FDA- AND
16 NIH-SPECIFIC ISSUES.

17 CHAIRMAN LO: I' M BERNARD LO. I' M
18 PROFESSOR OF MEDICINE AT UCSF WHERE I ALSO DIRECT
19 THE PROGRAM IN MEDICAL ETHICS. AND IT' S BEEN MY
20 HONOR TO BE CO-CHAIR OF THIS GROUP.

21 MS. LANSING: I' M SHERRY LANSING. I' M THE
22 PATIENT ADVOCATE ON THE CIRM BOARD AND THE CO-CHAIR.
23 VERY HAPPY TO BE SERVING ON THIS BOARD WITH BERNIE
24 AS THE CO-CHAIR. I HAVE A LONG HISTORY OF PATIENT
25 ADVOCACY IN THE SPECIFIC AREA OF CANCER RESEARCH.

BARRISTERS' REPORTING SERVICE

1 DR. WAGNER: MY NAME IS JOHN WAGNER. I'M
2 A PROFESSOR OF PEDIATRICS AT THE UNIVERSITY OF
3 MINNESOTA. I'M THE DIRECTOR OF THE BLOOD AND MARROW
4 TRANSPLANT PROGRAM IN PEDIATRICS. AND MY AREA OF
5 RESEARCH IS THE DEVELOPMENT OF EXPERIMENTAL
6 TREATMENTS FOR LIFE-THREATENING DISEASES, PRIMARILY
7 CANCER AND HEART DISEASE.

8 DR. CIBELLI: I AM JOSE CIBELLI FROM
9 MICHIGAN STATE UNIVERSITY. WE WORK WITH EMBRYONIC
10 STEM CELLS AND SOMATIC CELL NUCLEAR TRANSFER AND NOW
11 REPROGRAM CELLS. AND I'M ALSO WORKING WITH A GROUP
12 IN SPAIN WHICH IS TRYING ALSO TO TAKE THIS
13 TECHNOLOGY OR NEW TECHNOLOGIES TO THE CLINIC.

14 DR. TAYLOR: I'M ROD TAYLOR. I'M A
15 PROFESSOR OF GYNECOLOGY AND OBSTETRICS AT EMORY
16 UNIVERSITY AND A REPRODUCTIVE ENDOCRINOLOGIST BY
17 TRAINING. I'M ALSO VICE CHAIR FOR RESEARCH IN THE
18 DEPARTMENT AND HAVE AN ACTIVE REPRODUCTIVE CELL
19 BIOLOGY RESEARCH PROGRAM.

20 DR. PETERS: I'M TED PETERS. I AM A
21 PROFESSOR OF THEOLOGY AT THE GRADUATE THEOLOGICAL
22 UNION IN BERKELEY. AND I'VE BEEN INVOLVED WITH
23 ETHICAL ISSUES HAVING TO DO WITH GENETIC RESEARCH
24 SINCE THE BEGINNING OF THE HUMAN GENOME PROJECT IN
25 1990, AND I'VE BEEN WORKING ON STEM CELL ETHICS

BARRISTERS' REPORTING SERVICE

1 SINCE JULY OF 1998.

2 MS. LANSING: THANK YOU. AS YOU CAN SEE,
3 THIS IS AN INCREDIBLY DISTINGUISHED GROUP THAT I'M
4 HONORED TO BE PART OF. I ALSO WANT TO ADD THAT THIS
5 GROUP HAS BEEN TOGETHER FOR OVER THREE YEARS, AND
6 THOUGH WE HAVE A NEW MEMBER, MOST OF US HAVE BEEN
7 HERE SINCE THE BEGINNING. AND WHAT I WANT TO THANK
8 MY COLLEAGUES FOR IS THE -- I DON'T EVEN KNOW HOW
9 MANY MEETINGS THERE'S BEEN AND PHONE CALLS, BUT FAR
10 MORE THAN THE WORD "NUMEROUS." SO I REALLY WANT TO
11 THANK YOU FOR YOUR COMMITMENT AND FOR YOUR SERVICE.

12 WE SAID WHEN WE STARTED THIS THAT THIS
13 WOULD BE AN ONGOING PROCESS, AND WE WOULD CONTINUE
14 UNTIL THERE WAS NO MORE NEED, THAT ALL THE DISEASES
15 HAD BEEN CURED. AND I REALLY AM THRILLED TO SAY
16 THAT THIS GROUP HAS STAYED TOGETHER.

17 SO I PERSONALLY AND ON BEHALF OF BERNIE
18 AND ALL THE CITIZENS OF CALIFORNIA AND ALL THE
19 PATIENT ADVOCATES THANK YOU VERY, VERY MUCH FOR YOUR
20 SERVICE.

21 2008 WAS A YEAR WHEN CIRM PUT CONSIDERABLE
22 EFFORT INTO UNDERSTANDING THE EFFECTIVENESS OF OUR
23 MEDICAL AND ETHICAL STANDARDS. AND I JUST WANT THE
24 MEMBERS OF THE PUBLIC TO UNDERSTAND THAT THIS EFFORT
25 INCLUDED SITE VISITS TO GRANTEE INSTITUTIONS TO

BARRISTERS' REPORTING SERVICE

1 EVALUATE THEIR OVERSIGHT PROGRAMS AND TO LEARN
2 REALLY ABOUT HOW OUR REGULATIONS COULD BE MORE
3 EFFECTIVE IN ADVANCING STEM CELL SCIENCE. AND WE
4 ALWAYS WANTED TO MAINTAIN THE HIGHEST STANDARDS.

5 AND BASED ON WHAT WE LEARNED FROM THAT
6 EXPERIENCE, THIS GROUP RECOMMENDED A SERIES OF
7 AMENDMENTS TO THE ICOC. AND THESE AMENDMENTS WERE
8 ATTEMPTS TO ENABLE GREATER ACCESS TO RESEARCH
9 MATERIALS AND TO CLARIFY OUR REQUIREMENTS FOR
10 RESEARCH OVERSIGHTS.

11 THIS PAST JANUARY BERNIE LO PRESENTED
12 THOSE RECOMMENDATIONS TO THE ICOC, AND THE BOARD
13 VOICED UNANIMOUS CONSENT FOR THIS DIRECTION AND
14 EXPRESSED ITS SINCERE APPRECIATION AND ITS GRATITUDE
15 FOR THE THOUGHTFULNESS AND ONGOING COMMITMENTS OF
16 THIS WORKING GROUP.

17 IN 2009 WE ARE GOING TO CONTINUE TO LEARN
18 HOW OUR REGULATIONS CAN BE MORE EFFECTIVE. AND I
19 ANTICIPATE THAT, SINCE WE ARE A WORK IN PROGRESS, WE
20 WILL CONTINUE TO CONSIDER POLICY AMENDMENTS. IN
21 FACT, THERE IS TIME SET ASIDE TOMORROW AFTERNOON FOR
22 SUCH DELIBERATIONS. HOWEVER, AS ALAN SAID, 2009
23 ALSO REPRESENTS A CRUCIAL MILESTONE FOR STEM CELL
24 SCIENCE. I ALONG WITH ALAN AM THRILLED THAT WE ARE
25 WHERE WE ARE TODAY, AND I MUST ADMIT IT IS HAPPENING

BARRISTERS' REPORTING SERVICE

1 EVEN FASTER THAN I ANTICIPATED. WE ARE ALL AWARE
2 THAT THE FDA HAS APPROVED ITS FIRST CLINICAL TRIAL
3 FOR A STEM-CELL BASED THERAPY DERIVED FROM HUMAN
4 EMBRYONIC STEM CELLS. AND CIRM'S SCIENTIFIC PROGRAM
5 IS FOCUSED ON ADVANCING TRANSLATIONAL AND CLINICAL
6 RESEARCH.

7 GIVEN THIS NEW DEVELOPMENT, IT IS
8 ESSENTIAL THAT WE EDUCATE OURSELVES AND THE PUBLIC
9 ON RESPONSIBLE CONDUCT OF THESE CLINICAL TRIALS.
10 AND WITH THIS GOAL IN MIND, AS YOU CAN SEE, WE'VE
11 PUT TOGETHER A WORKSHOP. THIS WORKSHOP WILL START
12 WITH A BASIC OVERVIEW OF THE CONDUCT OF CLINICAL
13 TRIALS AND WILL CULMINATE WITH CONSIDERATIONS OF
14 ISSUES THAT ARE SPECIFIC TO STEM CELL RESEARCH. WE
15 HAVE GATHERED TOGETHER A TALENTED LIST OF
16 PRESENTERS, ALL OF WHOM HAVE HANDS-ON EXPERIENCE IN
17 CLINICAL MEDICINE.

18 IN ADDITION, THERE IS CONSIDERABLE
19 EXPERTISE AMONG OUR AUDIENCE. AND WE ALL LOOK
20 FORWARD TO HEARING FROM YOU, THE MEMBERS OF THE
21 PUBLIC AND IN THE AUDIENCE THROUGHOUT THIS WORKSHOP.
22 IT IS REALLY OUR ABILITY TO BRING TOGETHER THIS
23 COLLECTIVE EXPERTISE THAT IS SO IMPORTANT TO ALL OF
24 US IN FULFILLING OUR MISSION FOR CIRM AND IN
25 MAINTAINING THAT CIRM ALWAYS MAINTAINS THE BEST

BARRISTERS' REPORTING SERVICE

1 SCIENCE UNDER THE HIGHEST STANDARDS.

2 SO I THANK ALL OF YOU FOR YOUR
3 PARTICIPATION, AND I SPEAK ON BEHALF OF ALL OF US
4 THAT WE LOOK FORWARD TO LEARNING FROM ALL OF YOU IN
5 THE NEXT TWO DAYS. AND WITH THAT SAID, I WILL TURN
6 IT OVER TO BERNIE WHO WILL BE FACILITATING THIS
7 WORKSHOP.

8 CHAIRMAN LO: THANKS VERY MUCH, SHERRY.
9 AS SHERRY AND ALAN HAVE SAID, I THINK THE GOAL OF
10 WHAT WE'RE TRYING TO DO OVER THE NEXT DAY OR SO IS
11 TO REALLY POSITION OURSELVES TO BE ON THE CUTTING
12 EDGE OF SCIENCE AND TO UNDERSTAND AND START TO THINK
13 ABOUT THE ETHICAL ISSUES THAT WILL ARISE AS WE BEGIN
14 TO REALLY MOVE INTO THE CLINICAL TRIALS ARENA.

15 THIS IS REALLY SORT OF A WONDERFUL
16 OPPORTUNITY FOR THOSE OF US ON THE SWG TO EDUCATE
17 OURSELVES AND INDIRECTLY TO HELP EDUCATE THE PUBLIC
18 ON THE SCIENTIFIC ETHICAL AND REGULATORY ISSUES THAT
19 SURROUND STEM CELL CLINICAL TRIALS. AND I THINK AS
20 WE LISTEN TO THE EXTREMELY TALENTED EXPERT SPEAKERS
21 WE HAVE, I THINK IT WILL BE IMPORTANT FOR US ON THE
22 SWG TO TRY AND SEPARATE OUT WHAT'S ALREADY IN PLACE
23 IN TERMS OF MANAGING ETHICAL ISSUES IN CLINICAL
24 TRIALS AND THE REGULATIONS OF CLINICAL TRIALS AND
25 NOT TRY TO REDUPLICATE WHAT'S ALREADY IN PLACE, BUT

BARRISTERS' REPORTING SERVICE

1 TO THINK ABOUT WHAT'S SPECIAL, WHAT'S DIFFERENT
2 ABOUT STEM CELL CLINICAL TRIALS THAT MAY REQUIRE US
3 TO THINK A LITTLE BIT DIFFERENTLY THAN WE WOULD
4 ABOUT CLINICAL TRIALS OF OTHER INTERVENTION.

5 SO I'M GOING TO START WITH ASKING MARIE
6 CSETE TO GIVE US SOME OF THE SCIENTIFIC BACKGROUND
7 OF THE NEED FOR CLINICAL TRIALS, WHERE STEM CELL
8 SCIENCE IS, AND WHAT ARE SORT OF THE PIPELINE THAT
9 WE CAN ANTICIPATE FOR ADDITIONAL CLINICAL TRIALS.
10 MARIE, THANKS VERY MUCH.

11 DR. CSETE: WELL, THANK YOU. AND IT HAS
12 BEEN A GREAT YEAR FOR STEM CELL BIOLOGY, THE TENTH
13 ANNIVERSARY OF THE DISCOVERY OF HUMAN EMBRYONIC STEM
14 CELLS, REALLY A VERY SHORT TIME WHEN YOU CONSIDER
15 THE WORK THAT WENT INTO GETTING THE FIRST IND
16 APPROVED AT THE FDA.

17 SO I'M GOING TO GO THROUGH A LITTLE BIT OF
18 HISTORY TO TELL YOU WHERE WE ARE AND START WITH
19 TALKING ABOUT STEM CELLS THAT HAVE BEEN IN THE
20 CLINIC FOR A LONG TIME, AND THAT IS BONE MARROW
21 TRANSPLANTATION. BONE MARROW TRANSPLANTATION HAS
22 BEEN USED FIRST TO TREAT A VARIETY OF BLOOD
23 DISEASES. THE MARROW HOLDS BLOOD STEM CELLS. AND
24 WHAT WE'RE SEEING IN THE CLINIC NOW IS APPLICATION
25 OF THESE SOMETIMES AUTOLOGOUS CELLS FROM THE PATIENT

BARRISTERS' REPORTING SERVICE

1 THEMSELVES OR ALLOGENEIC CELLS FROM OTHER PATIENTS.
2 BONE MARROW TRANSPLANTS BEING APPLIED TO A WIDE
3 VARIETY OF DISEASES, SOME WITH GOOD FORETHOUGHT AND
4 SOME WITH ABSOLUTELY NO THEORETICAL BASIS FOR WHY
5 THEY WOULD WORK.

6 A LOT OF THE WORK THAT WE'RE SEEING NOW IN
7 CASE REPORTS AND OTHER THINGS IS COMING FROM ABROAD,
8 AND A MAJOR CONCERN TO US SHOULD BE THE REGULATION
9 OF WHAT SEEMS TO BE SAFE THERAPIES, AUTOLOGOUS
10 THERAPIES, FOR DISEASES IN WHICH THERE'S NO HOPE OF
11 BENEFIT AND POTENTIAL FOR HARM.

12 WE'RE ALSO SEEING THE APPLICATION OF ADULT
13 STEM CELL THERAPIES TO DISEASES WHERE THIS WAS NOT
14 REALLY ENVISIONED EVEN JUST A SHORT TIME AGO. SO,
15 FOR EXAMPLE, WE'RE NOW SEEING NEURAL STEM CELLS
16 DERIVED FROM FETAL SOURCES BEING APPLIED TO
17 DEVASTATING DISEASES, INHERITED DISEASES OF
18 CHILDREN. AND STEM CELLS, INC., A CALIFORNIA
19 COMPANY, HAS AN ONGOING PHASE I TRIAL FOR BATTEN'S
20 DISEASE RIGHT NOW, AN ABSOLUTELY DEVASTATING DISEASE
21 OF CHILDREN.

22 AND THE POTENTIAL FOR ADULT STEM CELLS TO
23 DO GOOD IS ENORMOUS. PEOPLE GENERALLY CONSIDER THEM
24 TO BE SAFER IN TERMS OF THEIR RISK OF TUMOROGENICITY
25 THAN PLURIPOTENT STEM CELLS; BUT, AGAIN, THE

BARRISTERS' REPORTING SERVICE

1 POTENTIAL FOR DOING HARM IS THERE. JUST AS WE WERE
2 COMING INTO THIS MEETING, DR. LO POINTED OUT A CASE
3 REPORT THAT JUST APPEARED THIS MONTH SHOWING TUMORS
4 IN A CHILD THAT WAS TREATED WITH NEURAL STEM CELLS
5 ABROAD FOR ATAXIA TELANGIECTASIA, AND THERE WERE
6 MULTIPLE DONORS INVOLVED IN THESE STEM CELLS, AND
7 THE CHILD DEVELOPED TUMORS FROM TWO DIFFERENT DONOR
8 SOURCES IN THE BRAIN.

9 SO WE'RE GOING TO HAVE TO AGAIN WITH ADULT
10 STEM CELL THERAPIES THAT ARE ON THE SURFACE THAT
11 LOOK RELATIVELY UNRISKY THINK ABOUT THE RISK AND
12 BALANCE IN TERMS OF APPROPRIATE PATIENT POPULATIONS,
13 THE APPROPRIATE CELL PREPARATIONS, AND BALANCE THE
14 RISKS AND BENEFIT.

15 THE EXCITING PART FOR US FOR CIRM
16 CERTAINLY IS THE APPLICATION OF PLURIPOTENT STEM
17 CELLS TO THE CLINIC. AND THERE'S ENORMOUS BUZZ IN
18 CALIFORNIA AND WITH OUR COLLABORATIVE PARTNERS ABOUT
19 THE DISEASE TEAM GRANT, ABOUT THE OPPORTUNITY TO
20 RIGOROUSLY APPLY BASIC SCIENCE INTO A VARIETY OF
21 PATIENTS' DISEASES. AND I'M HEARING CERTAINLY THAT
22 WE WILL SEE APPLICATIONS FROM INVESTIGATORS WHO ARE
23 VERY CLOSE AND HAVE BEAUTIFUL ANIMAL DATA TO SUGGEST
24 THAT PLURIPOTENT CELLS CAN GENERATE RPE FOR THE
25 REPAIR OF MACULAR DEGENERATION, CAN GENERATE BETA

BARRISTERS' REPORTING SERVICE

1 CELLS FOR PATIENTS WHO HAVE TYPE 1 DIABETES, WHO CAN
2 GENERATE MOTOR NEURONS POTENTIALLY FOR THE TREATMENT
3 OF PATIENTS WITH ALS, AND WHO CAN GENERATE
4 HEPATOCYTES FOR THE TREATMENT OF ACUTE LIVER
5 FAILURE, AMONG MANY OTHERS THAT WE WILL SEE.

6 THEIR SAFE APPLICATION WILL BE CIRM'S
7 RESPONSIBILITY TO GUARD AS THESE TRIALS ADVANCE
8 TOWARDS PATIENTS.

9 THE OTHER MAJOR ADVANCE OF THE PAST COUPLE
10 OF YEARS, AND WE'LL BE FACED WITH THIS CERTAINLY
11 WITH CLINICAL APPLICATIONS COMING IN SOON IS THAT
12 NOT ONLY PRIMARY CELLS, HUMAN EMBRYONIC STEM CELLS,
13 BUT INDUCED PLURIPOTENT STEM CELLS ARE ALSO BEING
14 WORKED TOWARD CLINICAL APPLICATION. THESE CELLS ARE
15 TOUTED AS BEING AN IMMUNOLOGIC CURE IN THAT IF YOU
16 TAKE THE CELLS FROM A PATIENT AND REVERT THEM TO AN
17 EMBRYONIC STEM CELL STATE OR AN ADULT STEM CELL
18 STATE OR ANOTHER CELL TYPE AND THEN PUT THEM BACK IN
19 THE PATIENT AFTER EXPANSION, THERE'S A THOUGHT ON
20 THE PART OF MANY SCIENTISTS THAT THE IMMUNE SYSTEM
21 WILL NOT REACT TO THESE CELLS.

22 I DON'T THINK THAT'S A SURE BET. THERE'S
23 NO GUARANTEE THAT CELLS WILL GO THROUGH A
24 REGENERATIVE PROCESS IN A DISH IN AN ABNORMAL
25 MICROENVIRONMENT THE SAME WAY THEY WENT THROUGH

BARRISTERS' REPORTING SERVICE

1 DEVELOPMENT. AND YOU CAN ANTICIPATE THAT THERE
2 WOULD BE PROTEINS MADE THAT WEREN'T SEEN BY THE HOST
3 EVEN THOUGH THE CELLS COME FROM THAT HOST. SO WE'RE
4 NOT IN PERFECTLY SAFE TERRITORY IN THAT WAY.

5 AND I THINK THE DATA IS ALSO SUGGESTING TO
6 US THAT THE KIND OF HETEROGENEITY THAT WE SEE IN
7 DIFFERENTIATION OF HUMAN EMBRYONIC STEM CELLS IN A
8 DISH MAY ACTUALLY BE GREATER IN IPS CELLS BECAUSE OF
9 SOME OF THE BAGGAGE THAT COMES WITH AGING THAT'S NOT
10 COMPLETELY REVERSED WHEN THE CELLS ARE RETURNED TO
11 AN EMBRYONIC STEM CELL LIKE STATE IN A DISH.

12 SO WE WILL BE FACED WITH ENORMOUS
13 CHALLENGES, AND I HOPE THAT THIS AFTERNOON WHEN
14 E. J. AND I TALK ABOUT CASE STUDIES, WE'LL POINT OUT
15 THE FACT THAT THE PROGRESS TOWARD THE CLINIC HAS
16 RAISED MANY, MANY QUESTIONS, AND PATIENTS HAVE BEEN
17 TREATED WITH A VARIETY OF STEM CELLS ALONG THE WAY
18 WHERE THE QUESTIONS HAVE NOT BEEN ANSWERED FULLY.
19 AND WE WILL HAVE TO BE ASKING OURSELVES AS WE
20 SUPERVISE THESE PLURIPOTENT DERIVED-STEM CELL TRIALS
21 JUST HOW MUCH OF AN ANSWER WE NEED BEFORE WE TAKE
22 THE NEXT STEP BECAUSE THE COMPLETE ANSWER TO THE
23 KINDS OF QUESTIONS THAT WE HAVE RIGHT NOW JUST WON'T
24 BE AVAILABLE IN THE NEXT COUPLE OF YEARS AS THE CELL
25 THERAPIES ARE MADE AVAILABLE TO PATIENTS.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: THANKS. I WANT TO FIRST ASK
2 IF THERE ARE ANY QUESTIONS JUST OF CLARIFICATION FOR
3 MARIE, AND THEN WE'RE GOING TO HAVE SOME ADDITIONAL
4 COMMENTS FROM ANOTHER SPEAKER. JUST ANY -- TED.

5 DR. PETERS: DO YOU HAVE ANY INFORMATION
6 YOU CAN SHARE ABOUT THE RECENT ANNOUNCEMENT BY GERON
7 THEY'RE GOING TO DO CLINICAL TRIALS ON THE SPINAL
8 CORD?

9 DR. CSETE: SO I CAN TELL YOU ABOUT THE
10 PUBLIC STATEMENTS THAT GERON HAS MADE AT THE FDA
11 SAFETY MEETINGS AND IN OTHER CONTEXTS WHERE WE'VE
12 BEEN IN ATTENDANCE.

13 SO GERON DEVELOPED A CELL PRODUCT THAT IS
14 DERIVED FROM HUMAN EMBRYONIC STEM CELLS. THEY HAVE
15 A MASTER BANK FROM ONE DONOR THAT IS DIFFERENTIATED
16 TO BECOME A KIND OF CELL THAT IS READY TO MAKE AN
17 OLIGODENDROCYTE. SO THE OLIGODENDROCYTES ARE CELLS
18 THAT WRAP AROUND PROTECTOR NEURONS. AND THEIR
19 ANIMAL WORK SUGGESTS THAT TRANSPLANTATION OF THESE
20 OLIGODENDROCYTES INTO ANIMAL MODELS OF SPINAL CORD
21 INJURY RESULTS IN RECOVERY FROM THE SPINAL CORD
22 INJURY.

23 SO THEY WILL BE -- THEIR CLINICAL TRIAL IS
24 IN PATIENTS WHO HAVE ACUTE INJURY, SO THE PATIENTS
25 SHOULD BE REFERRED IN WITHIN A WEEK OF THEIR INJURY.

BARRISTERS' REPORTING SERVICE

1 THEY ARE THE MOST SEVERELY INJURED IN THAT THE
2 COMPLETENESS OF THE INJURY THROUGH THE SPINAL CORD
3 IS CONSIDERED COMPLETE, AND THEY'RE PATIENTS WHO ARE
4 INJURED AT A LEVEL OF THE THORAX IN A PARTICULAR
5 AREA.

6 SO THE IND HAS BEEN APPROVED, BUT PATIENTS
7 ARE NOT YET BEING ENROLLED BECAUSE GERON NOW HAS TO
8 GO THROUGH THE PROCESS OF GETTING THE IRB APPROVAL
9 IN ITS TEST SITE CENTERS, AND THEY'RE ACTIVELY OUT
10 THERE DOING THAT.

11 THE PHASE I TRIAL WILL BE FOR EIGHT TO TEN
12 PATIENTS.

13 DR. PETERS: COULD I ASK A SECOND
14 QUESTION? COULD YOU UPDATE ME ON THE IPS CELLS? IT
15 WAS OUR UNDERSTANDING THAT THE FOUR IN THE EARLY
16 EXPERIMENTS, THE FOUR INTERPOLATED GENES WERE
17 CARCINOGENIC, SO WHAT'S HAPPENED RECENTLY THAT HAS
18 MOVED IPS CELLS CLOSER TO CLINICAL APPLICATION?

19 DR. CSETE: SO I THINK THE SCIENCE IS
20 REACHING A POINT WHERE IT'S THE CELL'S PROLIFERATIVE
21 ABILITY RATHER THAN THE WAY THAT THEY WERE MADE INTO
22 IPS CELLS IS THE CONCERN IN TERMS OF TUMORS. SO THE
23 ORIGINAL FOUR GENES HAVE NOW BEEN CONTRACTED TO TWO,
24 MAYBE EVEN ONE. AND THE METHOD OF GETTING THEM
25 EXPRESSED IN THE CELLS NO LONGER REQUIRES A

BARRISTERS' REPORTING SERVICE

1 RETROVIRUS TO INTEGRATE THEM.

2 SO INVESTIGATORS ARE REPORTING THAT THEY
3 CAN TRANSFER THE GENES AT THE RIGHT TIME AND IN THE
4 RIGHT AMOUNT USING NONINTEGRATING PLASMID VECTORS OR
5 EVEN UNPUBLISHED REPORTS SEEN AT MEETINGS OF JUST
6 INJECTING THE PROTEINS IN.

7 SO I THINK THE MECHANISM TO GET TO THE IPS
8 CELL WILL NOT BE SO MUCH OF A PROBLEM. NOTHING IS
9 EVER PERFECT.

10 AND THE OTHER PART OF YOUR QUESTION WAS?

11 DR. PETERS: THAT HELPS. THANKS A LOT. I
12 APPRECIATE IT.

13 CHAIRMAN LO: OKAY. NO FURTHER QUESTIONS.
14 MARIE, THANKS VERY MUCH. I'M SURE WE'LL BE COMING
15 BACK TO YOU AS THE DAY GOES ON.

16 I MISSPOKE. I READ THE AGENDA WRONG.
17 NEXT WE'RE GOING TO TRY AND UNDERSTAND THE
18 REGULATORY FRAMEWORK THAT THE FDA HAS IN PLACE AS
19 WELL AS OTHER REGULATIONS REGARDING CLINICAL TRIALS
20 IN GENERAL AND CELL-BASED THERAPIES IN PARTICULAR.
21 AND ALTA CHARO IS GOING TO START US OUT. AND WHAT
22 SHE DIDN'T SAY WHEN SHE INTRODUCED HERSELF IS SHE
23 TEACHES THE COURSE ON FDA LAW, WHICH IS A FORMIDABLE
24 COURSE AND VERY DETAILED, AND SHE KNOWS THIS STUFF
25 BACKWARD AND FORWARDS. AND THEN AFTER SHE ADOPTS

BARRISTERS' REPORTING SERVICE

1 THE MEDICAL PERSPECTIVE AND ACTUALLY IS GOING TO
2 SHOW SLIDES EVEN THOUGH SHE'S A LAW PROFESSOR, E. J.
3 READ IS GOING TO ADD SOME ADDITIONAL COMMENTS. AND
4 I'LL INTRODUCE E. J. THEN.

5 DR. CHARO: I HAVE SLIDES TOO. GEOFF, DO
6 YOU WANT TO CHANGE THEM FOR ME, OR DO YOU WANT ME TO
7 GO UP THERE?

8 DR. LOMAX: WHATEVER YOUR PREFERENCE IS.

9 DR. CHARO: IF YOU'RE STANDING RIGHT
10 THERE, JUST I'LL SHOUT CHANGE.

11 DR. LOMAX: ABSOLUTELY.

12 DR. CHARO: THANKS. OKAY. CHANGE THE
13 FIRST SLIDE. THIS IS GOING TO BE INCREDIBLY
14 SUPERFICIAL AND FOR A NUMBER OF YOU INCREDIBLY DULL
15 BECAUSE YOU KNOW ALL OF THIS, SO FEEL FREE TO CHECK
16 YOUR E-MAIL OR OTHERWISE ENGAGE YOURSELVES. BUT
17 JUST THAT WE ALL HAVE THE SAME COMMON UNDERSTANDING
18 OF THE BASIC STEPS FOR MOVING FROM BASIC RESEARCH TO
19 AN FDA-APPROVED THERAPY, THIS SET OF SLIDES WILL GO
20 VERY QUICKLY AND DO IT CHRONOLOGICALLY.

21 TO GIVE YOU SOME CONTEXT, IT'S IMPORTANT
22 TO UNDERSTAND THAT THIS IS A SET OF CELL-BASED
23 THERAPIES. CELL-BASED THERAPIES ARE REGULATED AS
24 BIOLOGICS. THE FDA CURRENTLY IS DIVIDED INTO THREE
25 CENTERS, DRUGS, DEVICES, AND BIOLOGICS. MOST

BARRISTERS' REPORTING SERVICE

1 FAMILIAR ARE THINGS LIKE BLOOD TRANSFUSIONS AND
2 VACCINES.

3 BUT CELL-BASED THERAPIES ALSO FALL WITHIN
4 THE CENTER FOR BIOLOGICS. AND BECAUSE BIOLOGICS CAN
5 BE DEVICES OR DRUGS, FOR EXAMPLE, A CELL-BASED
6 BANDAGE WOULD BE A BIOLOGIC DEVICE IN A SENSE, WOULD
7 BE A KIND OF ORGANIC BAND-AID. THE PRECISE PATH BY
8 WHICH THESE THINGS GET APPROVED CAN BE RATHER
9 COMPLICATED, AND THERE IS, IN FACT, A WHOLE OFFICE
10 DEVOTED JUST TO THE QUESTION OF COMBINATION
11 PRODUCTS. AND E. J. READ IS GOING TO TALK A LITTLE
12 BIT MORE ABOUT THAT IN HER COMMENTS, I THINK.

13 THE OTHER THING TO NOTE, JUST BY WAY OF
14 CONTEXT, IS THAT WHEN YOU'RE TALKING ABOUT
15 BIOLOGICS, YOU ARE SUBJECT TO TWO DIFFERENT
16 STATUTORY REGIMES. THE FIRST IS THE FOOD, DRUG, AND
17 COSMETIC ACT, THE 1938 ACT AS AMENDED MANY, MANY
18 TIMES, WHICH IS THE ONE WE'RE FAMILIAR WITH WHEN WE
19 THINK ABOUT DRUG APPROVAL PROCESSES. BUT BIOLOGICS
20 ARE ALSO SUBJECT TO THE PUBLIC HEALTH SERVICE ACT,
21 WHICH IS REALLY ABOUT INFECTION CONTROL. AND SO YOU
22 HAVE TWO INDEPENDENT SOURCES OF REGULATIONS, AND IT
23 DOES MEAN BIOLOGICS HAVE SOME ODDITIES THEIR METHOD
24 OF APPROVAL.

25 THIS IS SIMPLY A KIND OF CHRONOLOGICAL

BARRISTERS' REPORTING SERVICE

1 OUTLINE OF THE STEPS ONE HAS TO GO THROUGH TO MOVE
2 TO THERAPY. THE FIRST IS THE DERIVATION OR
3 IMPORTATION OF A CLINICAL-GRADE CELL LINE, ONE THAT
4 IS GOOD ENOUGH TO BE USED FOR THERAPEUTIC AS OPPOSED
5 TO PURELY LAB PURPOSES. THEN YOU HAVE THE
6 PRECLINICAL OR PREHUMAN RESEARCH WORK, FIRST PURELY
7 IN VITRO IN THE LAB AND THEN WITH ANIMALS. AND IT'S
8 ONLY AT THAT POINT THAT YOU CAN GO TO GET AN IND,
9 WHICH STANDS FOR INVESTIGATIONAL NEW DRUG EXEMPTION,
10 OR AN IDE, WHICH IS INVESTIGATIONAL DEVICE
11 EXEMPTION, IN ORDER TO START TESTING IN HUMANS.

12 THE REASON THESE THINGS ARE CALLED
13 EXEMPTIONS IS BECAUSE IT IS OTHERWISE A CRIMINAL ACT
14 TO PUT INTO INTERSTATE COMMERCE ANY UNAPPROVED
15 DEVICE OR DRUG. SO YOU NEED AN EXEMPTION IN ORDER
16 TO GO FORWARD WITH HUMAN TRIALS.

17 SO FIRST IS THE FDA HAS TO OKAY IT, AND
18 THE SECOND IS ALSO YOU HAVE TO GET APPROVAL FROM THE
19 LOCAL IRB. SO YOU'VE GOT TWO DIFFERENT BODIES THAT
20 ARE GOING TO LOOK OVER YOUR WORK. THEN YOU RECRUIT
21 SUBJECTS INTO A SERIES OF TRIALS GOING FROM SMALL TO
22 LARGE. ONE OF THE NEXT SLIDES WILL GO THROUGH WHAT
23 THEY'RE ABOUT. AND THEN AT THE END HERE, I USE
24 DRUGS AS AN EXAMPLE, YOU WOULD LOOK FOR A NEW DRUG
25 APPROVAL FROM THE FDA AFTER YOU FILE YOUR NDA, NEW

BARRISTERS' REPORTING SERVICE

1 DRUG APPLICATION. NOW, MOST RECENTLY, BECAUSE OF
2 THE 2007 AMENDMENTS, THERE'S BEEN A HEIGHTENED
3 EMPHASIS ON SURVEILLANCE AFTER DRUGS AND DEVICES AND
4 BIOLOGICS ARE APPROVED. THIS IS MOST WELL DEVELOPED
5 IN THE DRUG AREA, BUT IT CAN INVOLVE A VARIETY OF
6 FAIRLY FORMAL MECHANISMS INCLUDING FOR CLINICAL
7 TRIALS.

8 DRIVING A CLINICAL-GRADE CELL LINE IS
9 SOMETHING I WANTED TO POINT OUT BECAUSE FIRST
10 THERE'S A MISTAKE ON THE VERY FIRST BULLET POINT.
11 THE WORK HAS TO BE IN A MANNER CONSISTENT WITH FDA
12 GOOD MANUFACTURING PRACTICE RULES, NOT LABORATORY
13 PRACTICE RULES, WHICH ARE A SEPARATE SET OF
14 VOLUNTARY GUIDELINES. BUT THE BASIC POINT HERE IS
15 THAT YOUR CELL LINES HAVE TO BE MANAGED MORE
16 CAREFULLY WHEN YOU'RE PLANNING TO EVENTUALLY PUT
17 THEM INTO HUMAN BEINGS. AND PARTICULARLY WITH
18 REGARD TO INFECTION CONTROL, YOU NEED TO BE
19 PARTICULARLY CAREFUL.

20 THE SECOND IMPORTANT POINT ABOUT THIS IS
21 THAT DONOR SUITABILITY IS KEY TO THE INFECTION
22 CONTROL ISSUE. THAT IS, YOU WANT TO KNOW ABOUT THE
23 MEDICAL BACKGROUND OF THE PEOPLE WHO DONATE THE
24 MATERIAL FROM WHICH YOUR LINES ARE DERIVED, AND HERE
25 THAT WOULD BE SPERM AND EGG EMBRYO DONORS IN MOST

BARRISTERS' REPORTING SERVICE

1 CASES. AND IN SOME CASES SOME OF THAT INFORMATION
2 MIGHT BE PRESERVED IN TERMS OF GENETIC INFORMATION
3 SO THAT YOU CAN LINK THAT INFORMATION TO THE
4 SUBSEQUENT CELL LINE. THE TROUBLE THERE IS THAT IF
5 YOU DON'T SUFFICIENTLY ANONYMIZE THE IDENTITY OF
6 THOSE DONORS, YOU MIGHT FIND THAT YOUR CELL LINES
7 NOW HAVE ENOUGH IDENTIFIERS THAT IT TRIGGERS HUMAN
8 SUBJECTS PROTECTIONS WHEN YOU'RE DOING PURELY
9 LABORATORY WORK WITH THAT CELL LINE.

10 SO THERE'S A KIND OF INTERPLAY BETWEEN HOW
11 IT IS THAT YOU MAKE A LINE SUITABLE, WHICH INVOLVES
12 A LOT OF ATTENTION TO THE DONORS AND THEIR MEDICAL
13 RECORDS, AND HOW YOU AVOID MAKING YOUR CELL LINE
14 INTO THE FUNCTIONAL EQUIVALENT OF A HUMAN FOR THE
15 PURPOSE OF HUMAN SUBJECTS PROTECTIONS WHEN IT COMES
16 TO THE REGULATIONS OF YOUR PURELY LAB WORK.

17 AND, OF COURSE, HIPAA ADDS YET ANOTHER
18 OVERLAY HERE BECAUSE HIPAA HAS A DIFFERENT PROTOCOL
19 FOR PROTECTING HUMAN PRIVACY THAN THE IRB'S BECAUSE
20 IT'S JUST TOO MUCH FOR US TO THINK ABOUT TRYING TO
21 COORDINATE THIS STUFF.

22 AND FINALLY, BEFORE YOU MAKE YOUR CELL
23 LINE, YOU WANT TO MAKE SURE THAT, AND THIS IS
24 SOMETHING BERNIE LO IS PARTICULARLY EXPERT IN THESE
25 DAYS, YOU WANT TO MAKE SURE THAT ALL YOUR LOCAL

BARRISTERS' REPORTING SERVICE

1 RULES WERE COMPLIED WITH. OR IF YOU'RE IMPORTING,
2 THAT YOU'VE MET THE BASIC MINIMUM STANDARDS FOR YOUR
3 LOCAL JURISDICTION.

4 AND THAT IS THE POINT OF THE IMPORTATION
5 OF A CLINICAL-GRADE CELL LINE. OFTEN THE RULES IN
6 ANOTHER JURISDICTION WILL BE DIFFERENT THAN YOUR
7 OWN, SO THERE HAS TO BE SOME SET OF A KIND OF
8 THRESHOLD SET OF PROTECTIONS THAT ONE WILL INSIST
9 UPON BEFORE THE LINE IS IMPORTED.

10 NOW, FOR HUMAN CELL THERAPIES, THE FDA HAS
11 SOMETHING CALLED THE TISSUE ACCESS PLAN. IT'S NOW A
12 LITTLE OVER A DECADE OLD. IT'S NOT YET ABSOLUTELY
13 COMPLETELY IMPLEMENTED, BUT MOST OF ITS REGULATIONS
14 HAVE FINALLY COME OUT. THE FIRST HAVING TO DO WITH
15 SIMPLY REGISTERING AND LISTING EVERY PLACE THAT IS
16 MANAGING HUMAN TISSUES AND CELL-BASED THERAPIES.

17 I'M NOT GOING TO SPEND ANY MORE TIME ON IT.

18 ALTHOUGH IT WAS VERY HANDY TO FINALLY KNOW HOW MANY
19 PLACES IN THE U.S. ARE DOING THIS. I DO WANT TO GO
20 BACK AND TALK A LITTLE BIT ABOUT DONOR SUITABILITY
21 AND ABOUT THE GOOD TISSUE PRACTICES, WHICH IS REALLY
22 WHAT IS ABOUT THE CONTROL OF COMMUNICABLE DISEASE.

23 SO, NOW, THE DONOR -- OH, ACTUALLY I KIND
24 OF ANTICIPATED MYSELF. SO THE DONOR SUITABILITY,
25 JUST TO REITERATE AND THEN MOVE ON QUICKLY, WILL AT

BARRISTERS' REPORTING SERVICE

1 TIMES REQUIRE COLLECTION OF INFORMATION THAT YOU
2 MIGHT OTHERWISE NOT WANT TO HAVE AVAILABLE. AND THE
3 KEY IS GOING TO BE IF YOU CAN MEET SOME OF THE
4 EXEMPTIONS THAT WILL PROTECT YOU FROM THIS OUTCOME
5 WHERE YOUR LABORATORY WORK IS SUBJECT TO IRB REVIEW.

6 ISN'T IT HORRIBLE WHEN YOU ACTUALLY LOOK
7 AT THE REAL TEXT OF A REGULATION AND ITS GUIDANCES?
8 ALL OF THAT DENSENESS BASICALLY COMES DOWN TO IF YOU
9 CAN OBSCURE THE DONOR'S ACTUAL IDENTITY SO THAT
10 THEY'RE NOT READILY ASCERTAINABLE TO THE
11 INVESTIGATOR, THEN YOU CAN PROCEED AS IF THERE ARE
12 NO HUMAN SUBJECTS INVOLVED AND THERE'S NO OVERSIGHT
13 FROM THE IRB NECESSARY. SO YOU CAN HAVE LINKS BACK
14 TO DONOR INFORMATION, BUT YOU HAVE TO MAKE THEM
15 OBSCURE ENOUGH THAT THEY DON'T REALLY IDENTIFY WHO
16 GAVE THE CELLS ORIGINALLY.

17 NOW, MOVING FORWARD TO THE STUFF THAT I
18 THINK IS MORE APPLICABLE TO THIS MEETING, AFTER YOUR
19 BASIC LABORATORY WORK, THE USUAL NEXT STEP IS GOING
20 TO BE PRECLINICAL ANIMAL WORK WHICH INVOLVES THE
21 CREATION OF TRANSGENIC OR CHIMERIC ANIMALS. GERON,
22 FOR EXAMPLE, WAS TESTING MANY OF ITS CELL-BASED
23 THERAPY EFFORTS IN RATS WHO HAD SEVERED SPINAL
24 CORDS. AND SO WHAT YOU WERE DOING IS YOU WERE
25 CREATING AN ANIMAL THAT HAD HUMAN CELLS IN CONTACT

BARRISTERS' REPORTING SERVICE

1 WITH THE RAT. AND IF YOU TAKE A LOOK AT THE SLIDE,
2 YOU WILL SEE THAT THE ANIMAL WELFARE ACT DOES
3 PROVIDE PROTECTIONS FOR THE USE OF ANIMALS IN
4 RESEARCH, BUT VERY CONVENIENTLY DOES NOT COVER RATS
5 OR MICE THAT ARE SPECIFICALLY BRED FOR USE IN
6 RESEARCH. AND SO THIS ALLOWS YOU, IF YOU'RE WORKING
7 WITH THOSE SPECIES, TO EVADE THE PROTECTIONS OF THE
8 ANIMAL WELFARE ACT AND THE SPECIAL OVERSIGHT OF THE
9 INSTITUTIONAL ANIMAL CARE AND USE COMMITTEE.

10 NONETHELESS, THERE ARE A SET OF BEST
11 PRACTICES GOVERNING THE USE OF RATS AND MICE. AND I
12 REMEMBER SITTING ONCE IN A MEETING WHERE THERE WAS
13 DISCUSSION AT GREAT LENGTH ABOUT THE NUMBER OF MICE
14 PER PAGE THAT SHOULD BE ALLOWED, AND THE FINANCIAL
15 DIFFERENCES BETWEEN ONE NUMBER AND ANOTHER NUMBER
16 WERE REALLY QUITE SUBSTANTIAL WHEN IT WAS HOWARD
17 HUGHES THINKING ABOUT HOW MUCH IT WOULD COST TO FUND
18 THEIR INVESTIGATORS. SO EVEN WITHOUT THE ANIMAL
19 WELFARE ACT, IT IS A NONTRIVIAL QUESTION HOW YOU ARE
20 GOING TO MANAGE EVEN YOUR MICE AND RATS FOR YOUR
21 EXPERIMENTS.

22 ASSUMING THAT YOU'VE GOTTEN THESE
23 EXPERIMENTS DONE, AND THAT IS, AGAIN, NOT AT ALL AN
24 EASY THING. I MEAN IMAGINE YOU'VE GOT -- CAN YOU GO
25 BACK JUST FOR A SECOND TO THE PREVIOUS ONE, THE

BARRISTERS' REPORTING SERVICE

1 ANIMAL -- KEEP GOING, KEEP GOING, KEEP GOING, KEEP
2 GOING. YOU WENT ALL THE WAY BACK. I WANTED ONLY
3 ONE BACK.

4 DR. CSETE: ALTA, I'LL MAKE A
5 CLARIFICATION THOUGH. SO THE ANIMAL WELFARE ACT AND
6 IACUC, I DON'T WANT PEOPLE TO MIX THAT UP. SO WHEN
7 YOU WORK ON RATS AND MICE, YOU STILL REQUIRE IACUC
8 APPROVAL FOR YOUR RESEARCH.

9 DR. CHARO: WELL, THANK YOU. ACTUALLY I
10 GOT THAT WRONG. I MIXED THEM UP TOO. SO THANK YOU
11 ABOUT THAT. THANK YOU. THAT'S THE ONE I WANTED,
12 GEOFF. I APPRECIATE IT.

13 WHEN GERON WAS WORKING WITH ITS RATS, FOR
14 EXAMPLE, IT WAS USING IMMUNOSUPRESSED RATS AND
15 SEVERING THEIR SPINAL CORDS SO THAT THEY COULD THEN
16 TEST WHETHER OR NOT THE INJECTION OF HUMAN MATERIAL
17 HAD ANY MEASURABLE EFFECT ON THEIR ABILITY TO MOVE
18 AND ALSO FOR LOOKING FOR RISKS LIKE TUMOROGENICITY.
19 BUT IF YOU IMAGINE TRYING TO HANDLE IMMUNOSUPRESSED
20 RATS FOUR MONTHS ON END, AND REMEMBER NOW THAT THEY
21 CAN'T -- FOR EXAMPLE, THEY CAN'T URINATE ORDINARILY,
22 SO YOU HAVE TO EXPRESS THEIR BLADDERS MANUALLY ONCE
23 OR TWICE A DAY. AND THINK ABOUT THE CHALLENGE OF
24 KEEPING THEM INFECTION FREE IF THEY'RE
25 IMMUNOSUPRESSED. BUT THEY'RE BEING MANIPULATED SO

BARRISTERS' REPORTING SERVICE

1 OFTEN BY HUMAN HANDS, YOU BEGIN TO APPRECIATE WHY
2 THIS PARTICULAR STAGE CAN BE VERY CHALLENGING FOR
3 ANY KIND OF DEVELOPER AND WHY THIS STAGE ALSO GETS A
4 GREAT DEAL OF ATTENTION FROM THE FDA.

5 SO THIS PARTICULAR STAGE TAKES ONE SLIDE
6 AND MANY, MANY, MANY, MANY, MANY, MANY CONFERENCES
7 WITH THE FDA IN ORDER TO MOVE THROUGH IT AND TO THE
8 POINT OF GOING TO THE FDA FOR YOUR IND OR YOUR IDE.
9 ALL OF YOUR PRECLINICAL DATA IS SUBMITTED, AND, AS I
10 SAID, MOST OF THE TIME YOU'VE BEEN DOING ALL OF YOUR
11 PRECLINICAL WORK IN A KIND OF ITERATIVE PROCESS WITH
12 THE FDA.

13 NOW, THE FDA WILL HAVE APPROVED YOUR IND
14 AFTER HAVING GONE THROUGH ALL OF YOUR SAFETY DATA
15 AND AFTER HAVING GONE THROUGH IN SOME DETAIL YOUR
16 PROTOCOL BY WHICH YOU PROPOSE TO DESIGN A SUBJECT
17 POPULATION AND DO YOUR STATISTICAL ANALYSES.
18 NONETHELESS, HAVING GONE THROUGH ALL OF THAT, YOU
19 WILL STILL HAVE TO GET LOCAL APPROVAL FROM YOUR IRB,
20 WHICH WILL GO THROUGH SOME OF THE SAME KIND OF
21 CALCULATIONS ABOUT RISKS AND BENEFITS OR POSSIBLE
22 BENEFITS. THEY WILL ALSO THEN TAKE A CLOSE LOOK AT
23 THE PROCESS BY WHICH SUBJECTS WILL BE RECRUITED AND
24 INFORMED OF THE RISKS AND POSSIBLE BENEFITS.

25 THIS CAN BE MORE RIGOROUS SOMETIMES THAN

BARRISTERS' REPORTING SERVICE

1 THE FDA BECAUSE OF THE KIND OF PATIENT FOCUS THAT
2 ONE FINDS ON SOME IRB'S. THERE'S A REAL VARIATION
3 AROUND THE COUNTRY.

4 THE FIRST TWO PHASES OF YOUR STUDIES ARE
5 GENERALLY SAFETY PHASES. THEY ARE PRIMARILY ABOUT
6 LOOKING AT SAFETY. IN THE ORDINARY KIND OF
7 PARADIGMATIC DRUG CASE, YOU WOULD USE HEALTHY
8 VOLUNTEERS, TINY AMOUNTS OF THE DRUG YOU'RE
9 PROPOSING TO TEST, AND BE LOOKING SIMPLY AT
10 METABOLIC ACTIVITY. IN THIS CASE I SUSPECT MANY
11 TRIALS WILL ACTUALLY BEGIN WITH PATIENTS WHO ARE
12 SICK OR INJURED, THE WAY IT NOW HAPPENS, FOR
13 EXAMPLE, IN MANY CANCER TRIALS. SO THE PHASE I'S
14 AND II'S WILL LOOK A LITTLE DIFFERENT, BUT THEY WILL
15 STILL BE PRIMARILY SAFETY TRIALS. AND A HUGE
16 PROBLEM, A HUGE PROBLEM THAT IS LURKING FOR ALL OF
17 US NOW IS RIGHT AT THIS LEVEL. YES, JOHN.

18 DR. WAGNER: I JUST WANT TO JUST EMPHASIZE
19 THE FACT THAT THE PUBLIC UNDERSTANDS THAT A PHASE I
20 TRIAL IS PURELY TO DEMONSTRATE SAFETY. IT HAS NO
21 INTENTION OF EFFICACY. SO THAT WHEN THE TRIALS ARE
22 BEING FIRST DONE, THERE'S TYPICALLY A DOSE
23 ESCALATION TRIAL TO SEE WHERE YOU HIT MAXIMUM
24 TOLERABLE TOXICITY. SO I THINK THAT IT'S CRITICAL
25 THAT PEOPLE UNDERSTAND REALLY WHAT A PHASE I STUDY

BARRISTERS' REPORTING SERVICE

1 IS, AND THAT'S HOW WE DO THIS IN CANCER PATIENTS ALL
2 THE TIME.

3 DR. CHARO: JOHN, IF I MAY, WHAT DO YOU
4 THINK -- IN WHAT PROPORTION OF TRIALS DO YOU THINK
5 YOU SUCCEED IN PERSUADING PATIENTS, NOT HEALTHY
6 SUBJECTS, BUT PATIENTS THAT THIS IS SAFETY ONLY, AND
7 NOT SAFETY AND MAYBE A LITTLE OF HOPE OF EFFICACY?

8 DR. WAGNER: I'LL GIVE YOU MY OWN OPINION,
9 THAT I CAN'T SAY WHETHER IT'S TRUE OR NOT BECAUSE
10 OBVIOUSLY I HAVE MY OWN PERSONAL BIASES, BUT I THINK
11 THAT, YOU KNOW, FOR THIS PARTICULAR THERAPY THAT
12 WE'RE TALKING ABOUT, EMBRYONIC STEM CELL-BASED
13 THERAPIES, IT'S SOMETHING WE PROBABLY SHOULD FURTHER
14 DISCUSS HOW WE MIGHT BEST BE ABLE TO CONVINCING THE
15 PATIENT AND THE PUBLIC THAT WE'RE DOING THIS IN THE
16 MOST OBJECTIVE WAY POSSIBLE.

17 DR. CHARO: YEAH. I THINK FOCUSING HERE
18 FOR A MOMENT IS IMPORTANT BECAUSE THIS IS GOING TO
19 BE A TREMENDOUS CHALLENGE AS WE MOVE INTO THESE
20 TRIALS AND AS GERON MOVES INTO ITS TRIALS. FIRST,
21 PEOPLE, WHEN YOU'RE RECRUITING PEOPLE WHO ARE SICK
22 AS OPPOSED TO HEALTHY VOLUNTEERS, IT'S VERY
23 DIFFICULT TO ELIMINATE ANY SMALL GLIMMER OF HOPE
24 THAT THEY MAY HAVE, THAT EVEN THOUGH YOU'RE USING
25 SUBCLINICAL DOSAGES, THAT NONETHELESS THERE WILL BE

BARRISTERS' REPORTING SERVICE

1 SOME SMALL BENEFIT TO THEM PERSONALLY FROM A MEDICAL
2 POINT OF VIEW.

3 THE SECOND IS THAT WE HAVE SEEN IN THE
4 AREA OF CANCER ALREADY TREMENDOUS PRESSURE TO OPEN
5 UP ACCESS TO INVESTIGATIONAL DRUGS PRIOR TO THEIR
6 FDA APPROVAL, PARTICULARLY AS SOON AS THEY'VE GONE
7 INTO PHASE II.

8 THERE'S A GROUP CALLED THE ABIGAIL
9 ALLIANCE, AND IT WENT ALL THE WAY TO THE U.S.
10 SUPREME COURT IN WHAT WAS, I THINK, REALLY ONE OF
11 THE MOST INTERESTING TRULY RIGHT-TO-LIFE CASES I'VE
12 EVER SEEN, WHICH WAS A CLAIM THAT THERE'S NO
13 CONSTITUTIONAL AUTHORITY TO WITHHOLD POSSIBLY
14 BENEFICIAL DRUGS FROM TERMINALLY ILL PATIENTS
15 BECAUSE THEY HAVE A RIGHT TO TRY TO SAVE THEIR
16 LIVES. IT WAS LEGALLY AND CONSTITUTIONALLY QUITE
17 FASCINATING. THE CASES ARE QUITE GUT-WRENCHING, BUT
18 THE ISSUES I THINK ARE GOING TO BE OBVIOUS TO
19 ANYBODY IN THE MEDICAL FIELD ABOUT THE CONCERNS
20 ABOUT ACCESS TO THESE THINGS BEFORE THEY'RE PROVEN.

21 NOW, WE ALSO KNOW --

22 MS. LANSING: DID THEY RULE ON THAT?

23 DR. CHARO: THE SUPREME COURT DID NOT RULE
24 THAT THERE IS A CONSTITUTIONAL RIGHT TO ACCESS TO
25 INVESTIGATIONAL DRUGS. ABIGAIL ALLIANCE IS NOW

BARRISTERS' REPORTING SERVICE

1 MOVING ON A LEGISLATIVE STRATEGY. THEY'VE GOTTEN
2 SAM BROWNBACK, SENATOR FROM KANSAS, TO BE ONE OF
3 THEIR CHAMPIONS IN THE SENATE ON THAT KIND OF ACCESS
4 ACT.

5 IN THIS AREA OF STEM CELL THERAPY, WE'RE
6 NOT ONLY GOING TO BE DEALING WITH PATIENT
7 THERAPEUTIC MISCONCEPTION, BUT WE'RE GOING TO BE
8 DEALING WITH A HUGE PENT-UP DEMAND TO ACCESS TO
9 THESE PARTICULARLY FROM PEOPLE WHO HAVE DEGENERATIVE
10 DISORDERS WHERE THEY FEEL THE WINDOW OF OPPORTUNITY
11 TO HALT OR REVERSE THE DAMAGE IS VERY, VERY SMALL.
12 AND WE KNOW FROM THE 1980S HERE IN CALIFORNIA THAT
13 THERE IS A WAY TO HANDLE THIS HAVING TO DO WITH
14 COMPASSIONATE USE PROTOCOLS, BUT THEY ARE
15 COMPLICATED, PARTICULARLY IN THE AREA OF BIOLOGICS.

16 SO FDA HAS A METHOD BY WHICH PEOPLE WHO
17 ARE NOT ENROLLED IN A TRIAL CAN GET HOLD OF AN
18 INVESTIGATIONAL DRUG OR DEVICE. COMPASSIONATE USE
19 IS A NICKNAME. YOU WON'T FIND THAT PHRASE ANYWHERE
20 IN THE REGULATIONS. ONE OF THE OBSTACLES TO DOING
21 THIS, THERE ARE MANY OBSTACLES. FIRST,
22 BUREAUCRATICALLY IT HAS ALWAYS BEEN TRADITIONALLY A
23 PATIENT-BY-PATIENT AD HOC PROCEDURE WITH AN IRB
24 REVIEW FOR EVERY SINGLE PATIENT WHO HAS A
25 COMPASSIONATE USE REQUEST.

BARRISTERS' REPORTING SERVICE

1 SECOND, MANUFACTURERS WILL USUALLY NOT
2 MAKE ENOUGH OF THE INVESTIGATIONAL SUBSTANCE TO
3 SUPPLY MORE THAN A FEW PEOPLE BECAUSE THEY'RE ONLY
4 MAKING SMALL AMOUNTS FOR THEIR TESTS. AND THEY ARE
5 NOT NECESSARILY IN A POSITION TO SCALE UP EVEN IN A
6 DRUG SITUATION TO PROVIDE AMOUNTS THAT WILL MEET THE
7 KIND OF DEMAND THAT WE ARE LIKELY TO SEE. SCALING
8 UP EVEN IN PURELY CHEMICAL MANUFACTURING IS NOT AS
9 SIMPLE AS SIMPLY DOUBLING, TRIPLING, AND QUADRUPLING
10 DOSAGES.

11 IN THE AREA OF BIOLOGICS, SCALING UP IS
12 EVEN MORE COMPLICATED BECAUSE OF THE WAY IN WHICH
13 BIOLOGICAL SYSTEMS OPERATE. AND AGAIN, CALIFORNIA,
14 AND OAKLAND IN PARTICULAR, A CENTER FOR THE
15 REALIZATION OF THIS WHEN BACK IN THE 1950S THE
16 SCALING UP IN THE AREA OF THE POLIO VACCINE LED TO
17 AN INEFFECTIVE VACCINE THAT LED A NUMBER OF CHILDREN
18 AROUND THE COUNTRY TO WIND UP DEVELOPING POLIO. SO
19 THE SCALING-UP ISSUE, VERY, VERY REAL.

20 SECOND, THERE IS NOW, AND THIS IS A
21 RELATIVELY RECENT DEVELOPMENT, THERE IS NOW SOME
22 PROVISION IN THE FDA REGULATIONS TO ALLOW
23 MANUFACTURERS TO HAVE COST RECOVERY IF THEY ARE
24 GOING TO PROVIDE DRUG OR DEVICE OR BIOLOGIC BEYOND
25 THE TRIALS ON A COMPASSIONATE USE BASIS, BUT IT IS

BARRISTERS' REPORTING SERVICE

1 COST RECOVERY ONLY, NO PROFIT. AND THE CALCULATION
2 OF COST RECOVERY, JUST LIKE VALUABLE CONSIDERATION,
3 IS ONE OF THOSE TERMS SUBJECT TO A LOT OF DEBATE.
4 AND SO THERE'S CONCERN THAT IT WON'T REALLY COVER
5 THEIR COST. SO HERE THERE'S JUST BOTTOM LINE A LOT
6 OF DISINCENTIVES FOR MANUFACTURERS TO ANSWER THE
7 PATIENT DEMAND. AND YET AT THE SAME TIME PHASE I
8 AND PHASE II TRIALS ARE TRULY DESIGNED TO BE SMALL.

9 I'M SORRY, JOHN. YOU WANTED TO SAY
10 SOMETHING.

11 DR. WAGNER: MAYBE AT THE VERY END. I
12 WANT TO GET BACK TO COST BECAUSE COST IS A CRITICAL
13 POINT THAT THE PEOPLE NEED TO UNDERSTAND. YOU
14 MENTION COST RECOVERY. THAT MEANS THAT THE FDA
15 ALLOWS US TO CHARGE FOR THE ACTUAL DRUG OR CELL
16 PREPARATION. IT DOESN'T MEAN THAT THE PEOPLE THAT
17 THIS IS INTENDED FOR COULD ACTUALLY PAY THAT COST OR
18 THEIR INSURANCE WILL DENY THAT COST.

19 DR. CHARO: ABSOLUTELY. AND THAT'S A
20 REALLY GOOD POINT. IT'S ONE THING TO SAY THAT COST
21 CAN BE RECOVERED. IT'S ANOTHER TO ASK IF THERE'S
22 ANY SOURCE OF FUNDS TO DO SO. AND FOR SURE,
23 INSURANCE COMPANIES, HEALTH INSURANCE COMPANIES,
24 GENERALLY WILL NOT PAY FOR UNAPPROVED DRUGS OR
25 DEVICES.

BARRISTERS' REPORTING SERVICE

1 THE PHASE III TRIALS, AGAIN, PRESENT YET
2 ANOTHER OPPORTUNITY FOR PRESSURE. AT THIS POINT NOW
3 THE PRESSURE REALLY HEIGHTENS BECAUSE THE PHASE II'S
4 PRESUMABLY SHOWED SOME EFFICACY. AND IF YOU TAKE A
5 LOOK AT THE PERCENTAGES ON THE GRAPHS, YOU WILL SEE
6 THAT THINGS THAT SURVIVE PHASE II WILL VERY
7 FREQUENTLY WIND UP SURVIVING PHASE III. SO THERE'S
8 A KIND OF VERY STEEP DROP-OFF CURVE FROM PHASE I TO
9 PHASE II, AND THEN THE SURVIVALS TEND TO PLATEAU A
10 BIT IN TERMS OF TRIAL RESULTS SO THAT BY THE TIME
11 YOU'RE INTO PHASE III, THERE'S A LOT OF PATIENT
12 PRESSURE BECAUSE THEY NOW EXPECT NEW REALLY IS
13 PROBABLY BETTER. AND CERTAINLY THE INVESTIGATORS
14 AREN'T TESTING IT UNLESS THEY SUSPECT THAT NEW IS
15 BETTER.

16 AND BECAUSE OF THIS, IN THE 1980S THERE
17 WAS A DESIRE TO BALANCE THE NEED TO HAVE CLINICAL
18 TRIALS THAT ARE RANDOMIZED CONTROLLED TRIALS TO GIVE
19 YOU GOLD STANDARD DATA WITH THE NEED TO OPEN UP
20 ACCESS FOR PEOPLE WHO WOULD NOT BE ELIGIBLE. THEIR
21 CONDITIONS MIGHT BE TOO COMPLICATED, THEY HAD TOO
22 MANY COMORBIDITIES OR THEY SIMPLY WERE NOT
23 GEOGRAPHICALLY SITUATED OR THERE WEREN'T ENOUGH
24 SPACES LEFT, AND THAT WAS THE DEVELOPMENT
25 SPECIFICALLY FOR AIDS OF THE PARALLEL TRACK SYSTEM

BARRISTERS' REPORTING SERVICE

1 WHICH ALLOWED FOR A LARGE NUMBER OF PEOPLE EN MASSE
2 TO GET AZT AT THE TIME OF THE CLINICAL TRIALS.

3 THERE'S A LOT OF PRESSURE SOMETIMES TO
4 ABANDON THIS STAGE COMPLETELY. IT'S FOOLISH. WE
5 SAW WITH BONE MARROW TRANSPLANTS FOR END-STAGE
6 BREAST CANCER HOW IMPORTANT IT IS TO HAVE THESE
7 TRIALS BECAUSE IN THE END THAT INCREDIBLY EXPENSIVE,
8 PAINFUL, HORRIBLE TREATMENT TURNED OUT NOT TO BE
9 EFFECTIVE, AND WE COULD NEVER HAVE KNOWN IT WITHOUT
10 CONTROLLED TRIALS. BUT AT THAT TIME THERE WAS
11 SIMILAR PRESSURE AROUND THE UNITED STATES TO OPEN
12 THOSE THINGS UP TO PATIENTS AND INSURANCE COMPANY
13 PAYMENTS PRIOR TO THE CONCLUSION OF THESE TRIALS
14 BECAUSE PEOPLE WERE DESPERATE. SO WE'VE SEEN THIS
15 STORY BEFORE, AND I THINK WE'RE GOING TO SEE IT
16 AGAIN NOW.

17 FINALLY, IF YOU COMPLETE ALL OF YOUR
18 TRIALS SUCCESSFULLY, YOU WILL HAVE TO GO TO THE FDA
19 FOR A FINAL APPROVAL OF YOUR DRUG OR DEVICE BEFORE
20 OR YOUR BIOLOGIC BEFORE YOU CAN BEGIN MARKETING IT.
21 AND THAT WILL COME WITH A SET OF CONDITIONS. THE
22 MOST FAMILIAR ONES HAVE TO DO WITH LABELING WHICH
23 WILL DEMONSTRATE THOSE THINGS FOR WHICH IT WAS
24 ACTUALLY TESTED. PHYSICIANS, OF COURSE, ARE FREE TO
25 USE, PRESCRIBE OUTSIDE THE LABEL IN A, QUOTE,

BARRISTERS' REPORTING SERVICE

1 UNQUOTE, OFF-LABEL WAY BASED UPON THEIR OWN GOOD
2 JUDGMENT, BUT THE COMPANIES ARE NOT ALLOWED TO
3 MARKET THE USES OTHER THAN THOSE THAT WERE TESTED
4 AND LABELED FOR AFTER FDA APPROVAL.

5 MS. LANSING: ALTA, CAN I ASK A QUESTION?
6 JUST GOING BACK TO THIS PARALLEL TRACK. I
7 UNDERSTAND WHAT YOU'RE SAYING, AND MAYBE WE SHOULD
8 HOLD OUR QUESTIONS TILL THE END, BUT I JUST AM
9 CURIOUS. HAVE THERE BEEN OTHER PARALLEL TRACK
10 THINGS THAT HAVE BEEN DONE?

11 DR. CHARO: WELL, THERE IS A KIND OF
12 STANDARD SYSTEM FOR SO-CALLED COMPASSIONATE USE.
13 THERE'S A STANDARD SYSTEM THAT IS REALLY MORE OF A
14 ONE-ON-ONE AD HOC SYSTEM, AND THEN THIS EN MASSE
15 SYSTEM PARALLEL TRACK WAS CREATED SPECIFICALLY FOR
16 AIDS. AND AS I RECALL, IT'S STILL LIMITED TO AIDS,
17 BUT IT PROVIDES A MODEL IN CASE ONE WANTS TO THINK
18 ABOUT WAYS TO DO THESE THINGS.

19 IN ADDITION, ALTHOUGH IT HAS NOT YET BEEN
20 ISSUED, IT'S MY UNDERSTANDING THAT THE FDA IS
21 SITTING ON A GUIDANCE THAT IT'S BEEN WORKING ON FOR
22 QUITE A WHILE THAT IS GOING TO CONTINUE TO REFINE
23 ITS COMPASSIONATE USE RULES; BUT WHETHER THAT'S
24 ACTUALLY GOING TO COME OUT AND, IF SO, WHEN, I'M NOT
25 QUITE SURE.

BARRISTERS' REPORTING SERVICE

1 MS. LANSING: SO IS THIS SOMETHING -- I
2 DON'T KNOW IF THIS IS RIGHT, BERNIE, BECAUSE I'M
3 REALLY FASCINATED BY THIS, I GUESS. AS A CANCER
4 ADVOCATE, I HEAR THIS ALL THE TIME, YOU KNOW. AND I
5 DON'T KNOW IF THIS IS RIGHT FOR OUR GROUP OR NOT, SO
6 I'M ASKING YOU. BUT IT SEEMS TO ME WHEN YOU'RE
7 ENTERING INTO PHASE III, I KNOW WHY YOU NEED THE
8 GOLD STANDARD. I REALLY UNDERSTAND THAT. I DON'T
9 THINK THAT SHOULD BE CHANGED. BUT THIS PARALLEL
10 TRACK, AND I'M ONLY GOING TO SPEAK AS A CANCER
11 ADVOCATE, BUT WOULD APPLY TO ALL DISEASES WHERE YOU
12 COULD DO THAT PROVIDING YOU SIGN OFF THE SPECIFIC,
13 YOU KNOW, LIABILITIES AND ALL OF THAT. IS THAT
14 SOMETHING WE SHOULD TALK ABOUT IN OUR GROUP, OR IS
15 THAT NOT FOR US TO EVEN THINK ABOUT AT THIS POINT?

16 DR. CHARO: WELL, AS A MATTER OF FACT, IF
17 YOU TAKE A LOOK AT THAT THE VERY LAST SLIDE, I'M
18 TRYING TO ANTICIPATE.

19 MS. LANSING: SORRY.

20 DR. CHARO: NO. NO. THAT'S OKAY. IT'S
21 OBVIOUS THAT THINGS ARE --

22 CHAIRMAN LO: COULD I JUST SAY ONE THING.
23 SHERRY, MY UNDERSTANDING IS THAT PARALLEL TRACK IS
24 ACTUALLY INTENDED FOR OTHER LIFE-THREATENING
25 DISEASES AS WELL, BUT IT WAS REALLY SORT OF

BARRISTERS' REPORTING SERVICE

1 PRIMARILY, IF NOT SOLELY, USED FOR AIDS IN SORT OF
2 THE EARLY ANTIRETROVIRAL ERA.

3 MS. LANSING: I THINK IT'S A WONDERFUL
4 THING PROVIDING YOU DON'T VIOLATE THE GOLD STANDARD
5 AND YOU HAVE THAT CONTROL GROUP.

6 DR. CHARO: THAT BECOMES VERY DIFFICULT
7 BECAUSE IF YOU HAVE A PARALLEL TRACK WHERE PEOPLE
8 CAN HAVE ACCESS TO THE INVESTIGATIONAL DRUG, DEVICE,
9 OR BIOLOGIC, IT BECOMES MUCH MORE DIFFICULT TO
10 RECRUIT OTHER PEOPLE INTO A RANDOMIZED CONTROLLED
11 TRIAL WHERE THEY MIGHT WIND UP GETTING PLACEBO OR
12 STANDARD THERAPY DEPENDING ON WHAT THE CONTROL IS.

13 MS. LANSING: I UNDERSTAND WHAT YOU'RE
14 SAYING.

15 DR. CHARO: SO RECRUITMENT NOW BECOMES FAR
16 MORE CHALLENGING, AND IT DOESN'T REALLY MATTER HOW
17 MANY TIMES YOU TRY TO EXPLAIN TO PEOPLE THAT WE'RE
18 DOING THESE TRIALS BECAUSE WE'RE NOT SURE WHICH IS
19 BETTER, STANDARD OR INVESTIGATIONAL, AND YOU MIGHT
20 TURN OUT TO BE LUCKY TO BE ON STANDARD INSTEAD OF
21 INVESTIGATIONAL BECAUSE I THINK AMERICANS -- AND
22 ACTUALLY DAVID BROOKS MADE THAT POINT TODAY IN THE
23 OP ED PAGE OF THE *NEW YORK TIMES*. AMERICANS HAVE
24 THIS KIND OF OPTIMISTIC VISION ALL THE TIME NO
25 MATTER WHAT'S GOING ON, AND WE ALWAYS TEND TO THINK

BARRISTERS' REPORTING SERVICE

1 THAT NEW IS BETTER. SO THERE'S THIS KIND OF PUSH.

2 MS. LANSING: SO OFTEN IF YOU'VE BEEN TOLD
3 YOU HAVE FOUR WEEKS LEFT TO LIVE OR WHATEVER IT IS,
4 AND THERE'S SOMETHING OUT THERE AND IT'S BEING HELD
5 BACK FROM YOU --

6 DR. CHARO: THAT'S RIGHT.

7 MS. LANSING: -- THAT'S VERY PAINFUL.

8 DR. CHARO: THAT'S EXACTLY WHAT THE
9 ABIGAIL ALLIANCE PEOPLE SAY.

10 MR. SHEEHY: I JUST HAD A COUPLE. I
11 DIDN'T THINK THEY DID PLACEBO CONTROLLED TRIALS ON
12 HIV.

13 DR. CHARO: NO. I SAID PLACEBO OR
14 STANDARD DEPENDING UPON BECAUSE PLACEBO ONLY WHEN
15 YOU -- PLACEBO TRIALS ARE NOT USED WHEN YOU HAVE
16 LIFE-THREATENING OR SERIOUS CONDITIONS WHERE THERE'S
17 GOING TO BE REAL DETERIORATION IN THE ABSENCE OF
18 STANDARD THERAPY.

19 MR. SHEEHY: BUT IF I COULD FINISH. SO
20 THAT'S ONE THING, AND THAT MAY BE A QUESTION WE WANT
21 TO ADDRESS IS WHETHER WE SHOULD HAVE PLACEBO
22 CONTROLLED TRIALS.

23 AND THEN THE SECOND ISSUE SPECIFICALLY
24 ABOUT HIV, THOSE PATIENTS WHO ARE OFTEN GETTING
25 COMPASSIONATE USE PROBABLY ARE NOT YOUR BEST TRIAL

BARRISTERS' REPORTING SERVICE

1 PARTICIPANTS. I MEAN YOU DON'T WANT PEOPLE ON
2 DEATH'S DOOR TO TRY A THERAPY. SO I THINK IT'S A
3 LITTLE BIT MORE -- YOU WANT YOUR HEALTHY SICK
4 PEOPLE, NOT YOUR --

5 DR. CHARO: RIGHT.

6 MR. SHEEHY: I MEAN BECAUSE THEN YOUR DATA
7 IS GOING TO NOT --

8 DR. CHARO: THIS IS -- LIKE I SAID, THIS
9 IS VERY SUPERFICIAL. ABSOLUTELY RIGHT, BUT THEN, OF
10 COURSE, THE IRONY IS THAT IF YOU'RE SICK, BUT
11 RELATIVELY -- YOU KNOW, DOING RELATIVELY WELL,
12 YOU'RE PRECLUDED FROM GETTING THE COMPASSIONATE USE
13 INVESTIGATIONAL DEVICE. WE CAN GO INTO IT IN MORE
14 LENGTH LATER.

15 LET ME JUST MAKE THE LAST POINT HERE, THE
16 LAST TWO POINTS. FIRST IS THE ENHANCED SURVEILLANCE
17 BEYOND LABELING MAY VERY WELL HAVE TO DO WITH THINGS
18 LIKE PATIENT TRACKING OF FORMAL PHASE IV TRIALS,
19 PATIENT SCREENING, THINGS LIKE THAT. AND THEN FOR
20 THE VERY LAST SLIDE, THESE WERE THE THREE THINGS
21 THAT JUST HAD OCCURRED TO ME AFTER THE BAT AS AREAS
22 WHERE THIS PARTICULAR COMMITTEE MIGHT HAVE SOMETHING
23 TO OFFER.

24 THE FIRST IS ESSENTIALLY STAYING UP TO
25 DATE ON THE SCIENCE FOR THE SAKE OF THE IRB'S, WHICH

BARRISTERS' REPORTING SERVICE

1 IS TO CONTINUALLY MONITOR AND COLLATE AND SYNTHESIZE
2 THE BEST INFORMATION ABOUT RISKS AND BENEFITS OF
3 EACH NEW STEM CELL INTERVENTION, THAT THE IRB'S
4 DON'T HAVE TO KEEP LEARNING IT ALL ON THEIR OWN EACH
5 TIME.

6 SECOND, TO MAYBE WORK WITH THE IRB'S TO
7 TRY AND DEVELOP COMPREHENSIBLE AND ACCURATE
8 INFORMATION TO BE USED FOR THE PROCESS OF RECRUITING
9 AND INFORMING SUBJECTS BEFORE THEY ENROLL.

10 AND THIRD, AND THIS GOES DIRECTLY TO WHAT
11 WE WERE JUST BEGINNING TO TALK ABOUT, DEPENDING UPON
12 WHETHER THE MANUFACTURERS AND THE FDA ARE OPEN TO
13 THIS, PERHAPS WORKING WITH IRB'S AND THE FDA AND THE
14 MANUFACTURERS ON TRYING TO THINK TOGETHER ABOUT HOW
15 TO MANAGE PATIENT EXPECTATIONS AND ORGANIZE
16 APPROPRIATE COMPASSIONATE USE PROTOCOLS. SO THAT'S
17 IT.

18 MS. LANSING: THAT WAS GREAT.

19 CHAIRMAN LO: ALTA, THANKS VERY MUCH. A
20 LOT OF THE ISSUES THAT ALTA HAS RAISED, SPEAKERS IN
21 SUBSEQUENT PARTS OF OUR SORT OF MINI SYMPOSIUM WILL
22 COME BACK TO. SO, FOR INSTANCE, THE PLACEBO ISSUE
23 WILL COME UP AS WE TALK ABOUT THE SCIENTIFIC DESIGN.

24 BUT I'M GOING TO ASK E. J. READ TO MAKE
25 SOME ADDITIONAL COMMENTS HERE. ELIZABETH READ IS

BARRISTERS' REPORTING SERVICE

1 THE DIRECTOR OF CELL AND TISSUE THERAPIES FOR THE
2 BLOOD SYSTEMS RESEARCH INSTITUTE. SHE FORMERLY WAS
3 AT NIH WHERE SHE WAS THE CHIEF OF THE CELL
4 PROCESSING SECTION OF THE DIVISION OF TRANSFUSION
5 MEDICINE. AND SHE'S ALSO BEEN THE MEDICAL DIRECTOR
6 OF THE AMERICAN RED CROSS BLOOD AND TISSUE SERVICES
7 IN SOUTHERN CALIFORNIA. AND SHE'S ALSO AN ACTIVE
8 RESEARCHER, AND ACTUALLY SOME OF HER ACTIVE AREAS OF
9 RESEARCH ARE BENCH-TO-BEDSIDE TRANSLATION OF NOVEL
10 CELLULAR THERAPIES.

11 SO SHE'S BEEN INVOLVED WITH DEVELOPING NEW
12 PRODUCTS FOR CLINICAL TRIALS, NEW CELLULAR-BASED
13 PRODUCTS FOR CLINICAL TRIALS, AND ACTUALLY
14 PARTICIPATING AS AN INVESTIGATOR IN THOSE TRIALS.

15 SO WHEN WE GET HER SLIDES UP, AND E. J. ,
16 YOUR WEBSITE AT BLOOD SYSTEMS RESEARCH INSTITUTE HAS
17 YOUR WRONG INITIAL ON THE TOP. SO IT DOESN'T COME
18 UP WHEN YOU GOOGLE YOU AS E. J. READ BECAUSE IT'S E.
19 I. READ ON THE TOP OF THE THING. SO YOU'RE MISSING
20 A LOT OF GOOGLING.

21 DR. READ: IT'S ACTUALLY CORRECT SINCE I
22 USE MY MAIDEN NAME, WHICH IS IVY IS MY LEGAL MIDDLE
23 NAME.

24 CHAIRMAN LO: IT'S A GOOGLE PROBLEM FOR
25 THOSE WHO ARE TRYING TO REACH YOU.

BARRISTERS' REPORTING SERVICE

1 DR. READ: SO IN ADDITION TO BEING AT
2 BLOOD SYSTEMS BLOOD RESEARCH INSTITUTE, I'M ON THE
3 ADJUNCT FACULTY AT UCSF IN LAB MEDICINE. AND I'VE
4 ALSO BEEN WORKING QUITE A BIT WITH THE UCSF CTSI IN
5 THE REGULATORY KNOWLEDGE AND SERVICES PROGRAM
6 BECAUSE WE'VE REALLY BEEN GETTING A LOT OF QUESTIONS
7 FROM INVESTIGATORS ON HOW TO DEVELOP THESE PRODUCTS
8 AND HOW THEY SHOULD BE INTERACTING WITH FDA. AND SO
9 I'M JUST GOING TO ADD SOME COMMENTS TO ALTA'S
10 EXCELLENT PRESENTATION SORT OF FROM THE STANDPOINT
11 OF INTERACTING WITH FDA.

12 SO I'M GOING -- SOME OF THESE SLIDES. I
13 HAVE TOO MANY SLIDES. SO I'M GOING TO SKIP OVER
14 SOME OF THEM AND JUST POINT OUT SOME OF THE THINGS
15 THAT ALTA MAY HAVE TOUCHED ON, BUT I MIGHT GO INTO A
16 LITTLE MORE DETAIL.

17 SO FDA ACTUALLY REGULATES CLINICAL
18 RESEARCH IF IT INVOLVES DRUGS, DEVICES AND
19 BIOLOGICAL PRODUCTS, AND I THINK THOSE OF YOU WHO
20 ARE INVOLVED WITH IRB'S KNOW THAT. AND THE BASIS OF
21 THAT IS THAT THEY -- WELL, YOU HAVE TO COMPLY WITH
22 THE IND OR IDE REGULATIONS, AND ALSO FDA HAS ITS OWN
23 REGULATIONS THAT ADDRESS IRB'S AND WHAT IRB'S SHOULD
24 LOOK LIKE AND HOW THEY SHOULD OPERATE AND ALSO
25 INFORMED CONSENT. AND THESE REGULATIONS ARE

BARRISTERS' REPORTING SERVICE

1 ACTUALLY VERY MUCH ALIGNED WITH THE OHRP REGULATIONS
2 THAT I THINK YOU ALL ARE FAMILIAR ARE.

3 ONE THING THAT SOME PEOPLE MAY NOT BE
4 AWARE OF IS THAT FDA HAS SOMETHING CALLED A GOOD
5 CLINICAL PROGRAM OR GCP PROGRAM, AND HAVE I THE LINK
6 TO THE WEBSITE THERE. AND I THINK WHAT'S MOST
7 NOTABLE THERE IS THAT IT SERVES AS THE LIAISON WITH
8 OHRP AND OTHER FEDERAL AGENCIES AND EXTERNAL
9 STAKEHOLDERS, AND THEY'RE VERY CONCERNED WITH
10 PROMOTING GOOD CLINICAL PRACTICES THAT ARE VERY MUCH
11 ALIGNED WITH THE OTHER REGULATORY REQUIREMENTS.

12 SO THESE ARE THE FDA CENTERS, AND ALTA
13 MENTIONED CDER, CBER, CDRH, THEY ALSO -- FDA IS -- F
14 IS THE FOODS, AND THEN THERE'S ALSO VETERINARY
15 DRUGS, FOOD ADDITIVES, AND DEVICES WHICH REALLY
16 DON'T APPLY TO WHAT WE'RE TALKING ABOUT HERE. BUT
17 WHAT'S NOTABLE ABOUT CBER IS THAT IT'S BIOLOGICS,
18 BUT THERE ARE SOME DEVICES AND IN-VITRO DIAGNOSTICS
19 THAT THEY REGULATE. AND CDER DOES DRUGS, BUT, IN
20 FACT, THERE'S A WHOLE CLASS OF BIOLOGICS THAT GOT
21 TRANSFERRED OVER TO THEM A FEW YEARS AGO THAT ARE
22 CONSIDERED THERAPEUTIC BIOLOGICS. AND WE DON'T NEED
23 TO BE TOO CONCERNED ABOUT THEM HERE, BUT THERE'S
24 BEEN SOME JURISDICTIONAL SHIFTING OVER THE PAST FEW
25 YEARS.

BARRISTERS' REPORTING SERVICE

1 I THINK AN IMPORTANT POINT IS THAT CBER
2 HAS BEEN THINKING ABOUT CELLULAR THERAPIES FOR A
3 FULL 20 YEARS, SO IT'S NOT NEW TO THEM TO BE
4 THINKING ABOUT STEM CELLS. AND REALLY BACK IN 1989
5 WAS THE FIRST POINT TO CONSIDER GUIDANCE. AND IT
6 WAS REALLY IN RESPONSE TO INVESTIGATORS GETTING
7 MONONUCLEAR CELLS, USUALLY AUTOLOGOUS, FROM PATIENTS
8 AND ACTIVATING THEM EX VIVO AND THEN PUTTING THEM
9 BACK INTO PEOPLE. AND PEOPLE WERE DOING THIS
10 WITHOUT IND'S, AND FDA HEARD ABOUT IT AND SAID WE
11 WILL -- WE NEED TO START THINKING ABOUT THIS. SO
12 THEY PUT OUT THAT GUIDANCE, AND THAT WAS REALLY THE
13 START OF THE WHOLE CELLULAR THERAPY REGULATORY
14 FRAMEWORK.

15 SO IN 1993 FDA ANNOUNCED THEIR INTENT TO
16 REGULATE HUMAN SOMATIC CELL AND GENE THERAPIES, AND
17 THEN 1997 THERE WAS A GUIDANCE FOR WHAT NEEDED TO BE
18 IN THE CHEMISTRY MANUFACTURING AND CONTROL SECTION
19 OF THE IND AND THE ESTABLISHMENT DESCRIPTION FOR
20 SOMATIC CELL THERAPY PRODUCTS. AND THEN 1997 WAS
21 WHEN THAT WHOLE PROPOSED APPROACH WAS PUBLISHED IN
22 THE *FEDERAL REGISTER*.

23 SO THEN YOU HAVE THE TEN-YEAR PERIOD FROM
24 1998 TO 2008 WHERE THERE'S JUST BEEN THIS FLURRY OF
25 ACTIVITY WHERE THEY'VE PROPOSED AND FINALIZED THE

BARRISTERS' REPORTING SERVICE

1 TISSUE RULES THAT ALTA MENTIONED. AND THEY'VE HAD
2 NUMEROUS DRAFT AND FINAL GUIDANCES THAT SORT OF BACK
3 UP THOSE RULES AND FILL IN A LOT OF THE GAPS. AND
4 IN ADDITION, CBER HAS BEEN HAVING LOTS OF
5 INTERACTIONS WITH THE PUBLIC, WITH PROFESSIONAL
6 ORGANIZATIONS, AND SPONSORS, INCLUDING ADVISORY
7 COMMITTEE MEETINGS, PUBLIC WORKSHOPS. THEY ALSO
8 HAVE A CELL THERAPY LIAISON MEETING WITH THE OFFICE
9 OF CELL THERAPY -- CELLS, TISSUES, AND GENE THERAPY.
10 IT'S A TWICE-A-YEAR MEETING.

11 AND FINALLY, I MENTIONED THE INTERACTIONS
12 WITH THE SPONSORS. THEY ACTUALLY HAVE OVER 1200
13 ACTIVE FILES IN JUST THE OFFICE OF CELL, TISSUE, AND
14 GENE THERAPY. SO THEY REALLY AREN'T STRANGERS TO
15 LOOKING AT THESE SUBMISSIONS, AND I THINK THEY'RE
16 REALLY WELCOMING HEARING MORE ABOUT WHAT'S GOING ON
17 IN CALIFORNIA AND ELSEWHERE WITH STEM CELLS.

18 I THINK YOU'VE ALREADY HEARD THIS. CBER
19 REGULATES ALL THESE DIFFERENT PRODUCTS, BLOOD, BLOOD
20 PRODUCTS. AND THEN THERE'S HCTP'S OR HUMAN CELLS,
21 TISSUES, AND CELLULAR AND TISSUE-BASED PRODUCTS AND
22 THEN SOME OTHER PRODUCTS. AND FDA HAS A DEFINITION
23 FOR HCTP, SO ANYTHING CONTAINING HUMAN CELLS OR
24 TISSUES INTENDED FOR IMPLANTATION, TRANSPLANTATION,
25 INFUSION, OR TRANSFER INTO A HUMAN RECIPIENT, THAT'S

BARRISTERS' REPORTING SERVICE

1 VERY STRAIGHTFORWARD. AND THIS IS EVERYTHING THAT
2 HCTP'S INCLUDE. WHAT'S NOTABLE IS THE EXCLUSIONS.
3 IF YOU KNOW THE EXCLUSIONS, THEN EVERYTHING ELSE IS
4 AN HCTP.

5 INTERESTINGLY, VASCULARIZED WHOLE ORGANS
6 ARE NOT REGULATED BY FDA AT ALL. THEY'RE REGULATED
7 BY HRSA. BONE MARROW THAT'S FROM AUTOLOGOUS OR
8 FAMILY DONORS THAT'S MINIMALLY MANIPULATED IS
9 CONSIDERED PRACTICE OF MEDICINE, SO IT'S NOT
10 REGULATED AT ALL. BONE MARROW, SIMILAR BONE MARROW,
11 IF IT'S FROM AN UNRELATED DONOR, IS ACTUALLY
12 REGULATED BY HRSA. XENOGRAFTS, SEPARATE
13 REGULATIONS. BLOOD AND BLOOD PRODUCTS, SEPARATE
14 REGULATIONS. AND THEN THE OTHERS ARE ALL SEPARATE
15 REGULATIONS. SO THESE ARE EXCLUDED.

16 AND THEN THERE ARE THE TWO REGULATORY
17 TIERS, AND I THINK ALTA REFERRED TO THIS. SO THE
18 361 PRODUCTS, AS WE CALL THEM, ARE THE LESS COMPLEX
19 PRODUCTS REGULATED SOLIDLY UNDER SECTION 361 OF THE
20 PHS ACT, AND THOSE ARE TISSUES OF THE BODY, THE
21 THINGS THAT TISSUE BANKS COLLECT AND BANK.
22 REPRODUCTIVE TISSUES ARE ALSO REGULATED UNDER 361,
23 AND THEN PERIPHERAL BLOOD STEM CELLS OR CORD BLOOD
24 IF THEY'RE AUTOLOGOUS OR FAMILY RELATED.

25 NOW, MOST OF WHAT CIRM IS GOING TO BE

BARRISTERS' REPORTING SERVICE

1 DEALING WITH ARE GOING TO BE THESE MORE COMPLEX
2 PRODUCTS, THE 351S, WE CALL THEM IN POPULAR LINGO.
3 AND THESE HAVE WHAT THEY CALL -- FDA CALLS THESE
4 KICK-UP FACTORS. THEY'RE EITHER MORE THAN MINIMALLY
5 MANIPULATED AND/OR THEY'RE BEING USED IN A
6 NON-HOMOLOGOUS WAY. AND NON-HOMOLOGOUS IS WHERE YOU
7 MIGHT TAKE BONE MARROW, BUT USE IT TO REGENERATE
8 HEART TISSUE. SO THAT WOULD BE NON-HOMOLOGOUS USE.

9 AND THEN, FINALLY, IF THE CELLS ARE COMING
10 FROM UNRELATED DONORS, PERIPHERAL BLOOD STEM CELLS
11 OR CORD BLOOD FROM UNRELATED DONORS ARE CONSIDERED
12 MORE COMPLEX OR 351 PRODUCTS.

13 SO THE TISSUE RULES ALTA ALREADY
14 MENTIONED, ESTABLISHMENTS INVOLVED IN THIS HAVE TO
15 REGISTER WITH FDA. THERE ARE DONOR ELIGIBILITY
16 REQUIREMENTS, AND THEN THERE ARE THESE CURRENT GOOD
17 TISSUE PRACTICE, CDTP MANUFACTURING REQUIREMENTS.
18 SO THOSE ARE THE THREE TISSUE RULES. IT TOOK FDA
19 SEVERAL YEARS TO GET THE PROPOSED RULES FINALIZED
20 AND THE GUIDANCES AROUND THESE, BUT THEY'RE OUT NOW
21 AND FINALIZED.

22 AND THEN THIS IS JUST A SUMMARY OF THE
23 REGULATIONS. SO IF YOU LOOK AT THE 361S, WHICH ARE
24 THE LESS COMPLEX, THEY JUST HAVE TO FOLLOW THE
25 TISSUE RULES. AND THEN THE 351S, THE MORE COMPLEX,

BARRISTERS' REPORTING SERVICE

1 HAVE TO FOLLOW THE TISSUE RULES, BUT ALSO HAVE TO
2 FOLLOW THE CGMP REGULATIONS, THE IND OR IDE
3 REGULATIONS, AND THEN FINALLY A PREMARKET APPROVAL.
4 AND YOU MENTIONED NDA, WHICH IS THE DRUG EQUIVALENT
5 OF BLA, WHICH IS THE BIOLOGICS LICENSE APPLICATION.

6 SO STEM CELL PRODUCTS FIT IN HERE BECAUSE
7 FDA SAID PUBLICLY THAT IF YOU HAVE PRODUCTS COMING
8 FROM EMBRYONIC, FETAL, OR ADULT STEM CELL SOURCES,
9 WE'RE USING THE HCTP EXISTING FRAMEWORK. SO THEY'RE
10 FITTING IN HERE. AND MY COMMENT IS THAT ALMOST ALL
11 NEW PRODUCTS ARE GOING TO HAVE KICK-UP FACTORS, SO
12 MOST OF THEM ARE GOING TO END UP BEING SUBJECT TO
13 THE REQUIREMENTS FOR THESE 351 HCTP'S.

14 I DON'T KNOW HOW MUCH TO GO INTO IND'S,
15 BUT AN IND IS NOT AN EASY THING TO PUT TOGETHER FOR
16 A CELL THERAPY PRODUCT. MOST ACADEMIC INVESTIGATORS
17 WHO HAVE DONE COMPANY-SPONSORED OR EVEN
18 INVESTIGATOR-SPONSORED IND'S WITH A DRUG WHERE THE
19 DRUG IS ALREADY MANUFACTURED ARE BASICALLY FOCUSING
20 MOSTLY ON THE CLINICAL TRIAL ITSELF. AND YOU CAN
21 SEE THAT THE CLINICAL TRIAL AND THE CONSENT IS PART
22 OF THAT, BUT THE PRODUCT DESCRIPTION, WHICH IS THE
23 CMC SECTION, OR CHEMISTRY MANUFACTURING AND CONTROL
24 SECTION, IS A HUGE PART OF ANY CELL THERAPY IND.
25 AND THAT'S WHAT TAKES A LOT OF TIME TO PUT TOGETHER.

BARRISTERS' REPORTING SERVICE

1 TYPICALLY IN AN ACADEMIC SETTING,
2 INVESTIGATOR HEARS ABOUT A DRUG AND MAY EVEN BE
3 DOING A PHASE I STUDY, BUT THE CMC IS BASICALLY DONE
4 AND THE PRECLINICAL DATA AND PHARMACOLOGY AND
5 TOXICOLOGY ARE BASICALLY DONE. SO THEN THEY'RE JUST
6 TALKING ABOUT WHETHER OR NOT THERE'S PREVIOUS HUMAN
7 EXPERIENCE AND WHAT THE TRIAL -- WHAT THE PROPOSED
8 TRIAL IS AND WHAT THE INFORMED CONSENT IS GOING TO
9 LOOK LIKE. SO I THINK THAT CIRM IS GOING TO BE
10 DEALING A LOT WITH THESE DISEASE TEAMS STRUGGLING A
11 LOT WITH THE CMC AND HOW TO EVEN PUT THE PRECLINICAL
12 DATA, HOW TO DECIDE ON HOW TO DO THE PRECLINICAL
13 STUDIES, AND THE PHARM TOX BECAUSE THAT'S WHERE THE
14 BIG QUESTIONS ARE WITH STEM CELL THERAPIES.

15 THIS GUIDANCE IS WHAT I GIVE TO EVERYBODY
16 WHO'S SORT OF STARTING OUT BECAUSE THIS IS A GREAT
17 GUIDANCE FOR WHAT YOU NEED TO THINK ABOUT WHEN
18 YOU'RE TRYING -- WHEN YOU KNOW WHAT YOU ARE GOING TO
19 HAVE TO GIVE TO THE FDA FOR YOUR CMC SECTION, FOR
20 YOUR IND. SO THIS DESCRIBES WHAT FDA IS GOING TO
21 LOOK AT WHEN THEY REVIEW THE PRODUCT PART OF YOUR
22 IND. AND IT'S A VERY GOOD GUIDANCE THAT WAS
23 FINALIZED LAST YEAR.

24 AND THEN ALTA MENTIONED THE SOURCE, CELLS,
25 TISSUES, AND CELL LINES, THAT ARE USED TO DEVELOP

BARRISTERS' REPORTING SERVICE

1 THE HCTP' S. THEY AREN' T BY THEMSELVES CONSIDERED
2 HCTP' S, BUT THE WAY FDA IS GOING TO REGULATE THOSE
3 IS THAT THEY' RE GOING TO REQUIRE DETAILED
4 QUALIFICATION. AND ALTA MENTIONED THE DIFFERENT
5 TESTING AND SO ON THAT' S GOING TO NEED TO GO INTO
6 THAT. THE CMC GUIDANCE THAT I JUST SHOWED YOU
7 ACTUALLY OUTLINES THE REQUIREMENTS AND THEN
8 REFERENCES A SERIES OF OTHER FDA GUIDANCES ON DONOR
9 SCREENING AND TESTING, THE MANUFACTURING BANKING AND
10 TESTING OF THE CELL LINES, AND ALSO ON THE USE OF
11 XENOGENAIC MATERIALS OR ANIMAL MATERIALS IN CELL
12 BANKING.

13 CGMP, EVERYBODY ALWAYS SAYS WHAT' S CGMP,
14 CURRENT GOOD MANUFACTURING PRACTICE. WE THROW THAT
15 TERM AROUND A LOT. IT' S REALLY SORT OF A -- IT' S A
16 CONCEPT AND THEN IT' S A REALITY. IT REPRESENTS THE
17 MINIMUM STANDARDS FOR METHODS USED TO MANUFACTURE A
18 DRUG OR A BIOLOGIC TO ASSURE ITS SAFETY, IDENTITY,
19 PURITY, AND POTENCY. AND IT SOUNDS VERY CONCEPTUAL,
20 BUT, IN FACT, THERE ARE CGMP REGULATIONS AND THEN
21 THERE ARE CGMP GUIDANCE, AND THEN THERE' S THE
22 PRACTICE, AND THE COMMUNITY THAT DEVELOPS AND
23 IMPROVES OVER TIME.

24 ONE THING I TELL PEOPLE OVER AND OVER
25 AGAIN, IT' S NOT JUST ABOUT THE FACILITY. THE

BARRISTERS' REPORTING SERVICE

1 FACILITY IS ONLY ONE ELEMENT OF QUALITY
2 MANUFACTURING AND REGULATORY COMPLIANCE. AND, IN
3 FACT, THE OTHER THING THAT A LOT OF ACADEMIC PEOPLE
4 THINK THAT YOU DON'T HAVE TO FOLLOW CGMP WHEN YOU'RE
5 IN A PHASE I TRIAL. AND THAT'S A COMPLETE
6 MISCONCEPTION, AND FDA CAME OUT WITH THIS GUIDANCE
7 LAST YEAR, FINALIZED IT, IT HAD ACTUALLY COME OUT A
8 FEW YEARS BEFORE, ON CGMP FOR PHASE I
9 INVESTIGATIONAL DRUGS, AND IT ALSO APPLIES TO
10 BIOLOGICS. AND THEY GO THROUGH IN THE GUIDANCE,
11 IT'S ACTUALLY A VERY GOOD GUIDANCE, WHERE THEY GO
12 THROUGH DIFFERENT POINTS ON HOW EVEN IN PHASE I YOU
13 SHOULD THINK ABOUT HOW YOU'RE GOING TO COMPLY WITH
14 CGMP. IN FACT, THE REQUIREMENTS MAY BE A LITTLE BIT
15 LIGHTER IN PHASE I THAN IN PHASE II AND PHASE III,
16 BUT THEY'RE STILL PRETTY DARN STRICT IN PHASE I.

17 ALTA MENTIONED COMBINATION PRODUCTS.
18 THERE'S ACTUALLY AN OFFICE OF COMBINATION PRODUCTS
19 AT FDA THAT WILL DO A DESIGNATION ABOUT WHICH OF THE
20 CENTERS WILL BE THE LEAD CENTER FOR THE REVIEW.
21 THIS IS AN EXAMPLE OF AN HCTP COMBINATION PRODUCT,
22 AND I THINK YOU'RE GOING TO BE SEEING A LOT OF
23 THINGS LIKE THIS. THIS IS A REAL PRODUCT BY A
24 COMPANY CALLED NEUROTECH-USA THAT'S BASED IN, I
25 THINK IT WAS ORIGINALLY A FRENCH COMPANY, BUT

BARRISTERS' REPORTING SERVICE

1 THEY' RE IN RHODE ISLAND NOW, AND THEY' RE IN PHASE II
2 AND PHASE III CLINICAL TRIALS IN RETINITIS
3 PIGMENTOSA AND MACULAR DEGENERATION OF AGING. AND
4 BASICALLY THEY HAVE A CELL LINE THAT'S A RETINAL
5 EPITHELIAL CELL LINE THAT THEY' VE GENETICALLY
6 ENGINEERED TO OVERPRODUCE A TROPHIC FACTOR OR GROWTH
7 FACTOR, AND THEN THEY' VE ENCAPSULATED IT IN THIS
8 HOLLOW FIBER MEMBRANE DEVICE THAT THEN GETS
9 IMPLANTED INSIDE THE EYEBALL.

10 AND SO IT'S REALLY SORT OF A DRUG DEVICE
11 AND A BIOLOGIC ALL IN ONE, BUT I THINK CBER IS THE
12 LEAD ON THIS AS IT IS MOST OF THE COMBINATION
13 PRODUCTS THAT HAVE -- WHERE THE CELL IS ACTUALLY
14 PRODUCING THE PRIMARY EFFECT, OR I GUESS THEY HAVE
15 SOMETHING CALLED THE PRIMARY MODE OF ACTION,
16 SOMETHING LIKE THAT. CBER WILL LOOK AT IT, BUT THEY
17 WILL, OF COURSE, BRING IN PEOPLE FROM THE DEVICE
18 SIDE AND THE DRUG SIDE FOR THE REVIEW PROCESS.

19 THERE'S ALSO A GUIDANCE ON COMBINATION
20 PRODUCTS THAT CAME OUT A FEW YEARS BACK. THAT'S
21 ACTUALLY STILL IN DRAFT. AND THEN I'M NOT SURE HOW
22 MUCH I'M GOING TO GO INTO THIS. I THINK JOHN AND A
23 COUPLE OTHER PEOPLE MAY HAVE MENTIONED THAT BONE
24 MARROW TRANSPLANT WAS SORT OF WHERE THINGS STARTED.
25 AND I WANTED TO TOUCH ON STANDARDS AND ACCREDITATION

BARRISTERS' REPORTING SERVICE

1 IN ADDITION TO FDA REGULATIONS BECAUSE THERE ARE
2 STANDARDS AND ACCREDITATION GROUPS IN THE WORLD OF
3 CELL THERAPIES.

4 BONE MARROW TRANSPLANT REALLY STARTED OUT
5 AS PRACTICE OF MEDICINE, AND FDA STILL CONSIDERS
6 CLASSIC BASIC BONE MARROW TRANSPLANT AS PRACTICE OF
7 MEDICINE. THEY DON'T REGULATE IT. AND BACK IN THE
8 1970S AND 1980S THERE REALLY WASN'T MUCH IN TERMS OF
9 QUALITY SYSTEMS AND OVERSIGHT OF THESE ACTIVITIES.
10 BUT IN THE LATE '80S AND EARLY '90S, THERE WAS
11 INCREASING USE OF PERIPHERAL BLOOD AS A SOURCE.
12 THERE WERE MORE TRANSPLANTS GOING ON, AND BY 1991
13 AND 1992 THERE WERE TWO ORGANIZATIONS THAT PUBLISHED
14 STANDARDS AND STARTED ACCREDITATION PROGRAMS.

15 ONE OF THEM IS AABB, WHICH IS AMERICAN
16 ASSOCIATION OF BLOOD BANKS AND THE OTHER IS FACT,
17 FOUNDATION FOR ACCREDITATION OF CELL THERAPIES,
18 WHICH IS THE ACCREDITATION ARM OF THE INTERNATIONAL
19 SOCIETY FOR CELL THERAPY AND THE AMERICAN SOCIETY
20 FOR BONE MARROW TRANSPLANT. SO THOSE TWO
21 ORGANIZATIONS HAVE ACCREDITATION PROGRAMS FOR BONE
22 MARROW, PERIPHERAL BLOOD, AND THEN NOW FOR CORD
23 BLOOD SOURCES OF HEMATOPOETIC PROGENITOR CELLS. SO
24 MOST LABS WILL HAVE THOSE.

25 AND THEN THIS IS JUST SORT OF A SUMMARY OF

BARRISTERS' REPORTING SERVICE

1 THE DIFFERENT KINDS OF PRODUCTS AND WHO'S
2 ACCREDITING WHO AND WHAT FDA IS DOING. AND I THREW
3 CALIFORNIA IN THERE BECAUSE IF YOU WERE A FACILITY
4 IN CALIFORNIA DOING JUST, SAY, YOU KNOW, LOOK AT THE
5 BOTTOM ONE, YOU KNOW, ALLO UNRELATED DONOR CORD
6 BLOOD BANKING, YOU WOULD HAVE AABB OR FACT
7 ACCREDITATION, BUT YOU'D ALSO HAVE NATIONAL MARROW
8 DONOR PROGRAM, AND NMDP, STANDARDS TO FOLLOW. YOU'D
9 BE REGULATED BY FDA AS A 351 HCTP, AND YOU'D ALSO
10 HAVE A LICENSE WITH CALIFORNIA FOR THE BLOOD
11 BANK -- THE BLOOD AND BIOLOGICS LICENSE.

12 SO THIS IS JUST WHAT CALIFORNIA DOES. AND
13 I'M STILL A LITTLE CONFUSED ABOUT WHAT THEY DO. I'M
14 STILL LEARNING WHAT THEY DO, AND I'LL HAVE TO BRING
15 THAT BACK TO YOU ANOTHER TIME. BUT BASICALLY
16 THEY'VE GOT BLOOD BANK AND BIOLOGICS FACILITIES
17 WHERE THEY LICENSE THOSE, AND THEY ALSO LICENSE
18 TISSUE BANK FACILITIES.

19 I HIGHLIGHTED THE ASSISTED REPRODUCTIVE
20 TECHNOLOGIES AND THEN THE STEM CELL PROCESSING FROM
21 SOURCES OTHER THAN CORD BLOOD AND CIRCULATING BLOOD.
22 SO I'M ASSUMING, AND GEOFF MAY KNOW THIS BETTER THAN
23 I DO. I'M ASSUMING THAT ANYBODY WHO'S DERIVING CELL
24 LINES, EMBRYONIC STEM CELL LINES, WOULD FIT INTO
25 THAT AND HAVE TO REGISTER AND GET LICENSED AS A

BARRISTERS' REPORTING SERVICE

1 TISSUE BANK, BUT I'M NOT SURE OF THAT. I DON'T KNOW
2 IF GEOFF --

3 DR. LOMAX: WE HAD THIS DISCUSSION AT
4 LUNCH. I THINK WE'RE GOING TO GO BACK AND TAKE A
5 CLOSER LOOK AT THAT. OFF THE TOP OF MY
6 HEAD -- ACTUALLY I CAN LOOK UP SOME THINGS HERE. SO
7 IF I COME UP WITH AN ANSWER IN THE NEXT TEN MINUTES.

8 DR. READ: I JUST THOUGHT IT WOULD BE GOOD
9 TO KIND OF GET IT OUT THERE. AND THEN THE OTHER
10 THING I THINK THAT'S VERY GOOD IN CALIFORNIA IS THEY
11 HAVE VERY STRICT STANDARDS FOR LICENSING CLINICAL
12 LABORATORY PERSONNEL AND ALSO CLINICAL LAB
13 FACILITIES THAT DO MODERATE AND HIGH COMPLEXITY
14 TESTING. SO I THINK THAT ACTUALLY SETS THE BAR
15 PRETTY HIGH IN CALIFORNIA.

16 THERE ARE OTHER STATES THAT HAVE PRETTY
17 HIGH BARS LIKE NEW YORK STATE. I'M NOT FAMILIAR
18 WITH ALL THE STATE REGULATIONS, BUT CALIFORNIA AND
19 NEW YORK KIND OF POP OUT AS THE ONES THAT HAVE
20 FAIRLY WELL-DEVELOPED LAWS AND STANDARDS.

21 AND THEN THIS IS JUST SOME WEB LINKS TO
22 GETTING THE FINAL RULES OR THE RULES AND THE
23 GUIDANCES. AND YOU CAN GET ON AN E-MAIL LIST AND
24 GET AN E-MAIL FROM CBER EVERY DAY IF YOU WANT IT ON
25 WHAT ALL THEIR ADVISORY MEETINGS AND WORKSHOPS AND

BARRISTERS' REPORTING SERVICE

1 NEW THINGS THAT ARE COMING OUT. AND I THINK I'M
2 GOING TO STOP THERE BECAUSE I'M GOING TO SAVE PART 2
3 FOR MARIE'S SESSION.

4 CHAIRMAN LO: OKAY. THANKS VERY MUCH.
5 QUESTIONS FOR EITHER ALTA OR E. J.? DOROTHY.

6 DR. ROBERTS: I HAVE A QUESTION WHICH
7 PERHAPS EITHER OF YOU OR BOTH COULD ANSWER. WHAT'S
8 THE PRACTICAL EFFECT OF BEING CLASSIFIED AS A DRUG,
9 DEVICE, OR BIOLOGIC OR SOME COMBINATION? WOULD
10 RESEARCHERS PREFER ONE OR THE OTHER BECAUSE THE
11 REGULATORS ARE TOUGHER IN ONE OR THE OTHER? DOES IT
12 MATTER PRACTICALLY?

13 DR. READ: NO. BUT, YOU KNOW, I MEAN, BUT
14 THE FDA WILL MAKE THAT DETERMINATION. I MEAN YOU
15 CAN'T JUST SAY, OH, I WANT THIS TO BE A DRUG.

16 DR. ROBERTS: RIGHT. RIGHT.

17 DR. READ: BUT I MEAN I WOULD SAY CDER,
18 THE DRUG IS MUCH BIGGER THAN ANY OF THE OTHERS
19 BECAUSE MOST OF WHAT GOES INTO THE FDA IS DRUGS, AND
20 SO IN A WAY THE PERCEPTION MAY BE IS THAT THEY'RE
21 TOUGHER. BUT I THINK CBER USED TO BE BUREAU OF
22 BIOLOGICS, AND THEY DIDN'T USED TO BE SO TOUGH. BUT
23 THEY'RE PRETTY TOUGH NOW. SO WHAT DO YOU THINK,
24 ALTA?

25 DR. CHARO: WELL, I WOULD SUPPLEMENT IT

BARRISTERS' REPORTING SERVICE

1 ONLY BY SAYING THIS. FIRST OF ALL, THE BIGGEST
2 DISTINCTION, IF IT'S A BIOLOGIC, SUDDENLY THE PUBLIC
3 HEALTH SERVICE ACT COMES INTO PLAY. SO THERE ARE
4 ALL THE INFECTIOUS CONTROL MEASURES. BUT THEN AS
5 BETWEEN DRUG AND DEVICE IN PARTICULAR, I'D SAY THAT
6 ON THE GROUND HISTORICALLY THERE HAS BEEN A HUGE
7 PREFERENCE TO GO THROUGH DEVICE BECAUSE IT'S BEEN A
8 MUCH LOOSER REGULATORY CENTER. AND IT'S PARTLY
9 BECAUSE THE RULES ARE DIFFERENT. MANY DEVICES CAN
10 SLIDE IN WITH FAIRLY MINIMAL REGULATORY OVERSIGHT
11 BECAUSE THEY'RE DEEMED TO BE SUBSTANTIALLY
12 EQUIVALENT TO A PREDECESSOR DEVICE THAT EITHER HAS
13 ALREADY BEEN APPROVED OR WAS ON THE MARKET YEARS
14 AGO, IN FACT, GRANDFATHERED.

15 NOW, MORE RECENTLY THERE HAS BEEN A KIND
16 OF OUTBREAK OF INTERNAL COMPLAINTS FROM THE DEVICE
17 PEOPLE ABOUT CONFLICT OF INTEREST. AND IT'S LEADING
18 TO A VERY OR HEIGHTENED DEGREE OF SCRUTINY, AT LEAST
19 WHEN WE FINALLY GET AN HHS SECRETARY AND AN FDA
20 COMMISSIONER, IT WILL LEAD TO A HEIGHTENED DEGREE OF
21 SCRUTINY FOR THE DEVICE CENTER. BUT I'D SAY FOR THE
22 MOMENT MOST, IF THEY HAVE A CHOICE, WOULD MUCH
23 PREFER TO GO THROUGH DEVICE. BUT YOU'RE CORRECT
24 THAT THE FDA WILL MAKE THE CALL, BUT THIS IS WHY THE
25 INSIDERS WHO USED TO BE AT THE FDA NOW GET PAID A

BARRISTERS' REPORTING SERVICE

1 LOT TO BE THE ATTORNEYS FOR THE OUTSIDERS BECAUSE
2 THEY KNOW HOW TO TALK THE FDA INTO SENDING IT TO ONE
3 CENTER OR THE OTHER.

4 DR. READ: I'M AWARE OF A SITUATION ABOUT
5 A YEAR AGO WHERE WE HEARD -- WE ACTUALLY WERE
6 ORGANIZING A MEETING, AND WE WERE TRYING TO GET THIS
7 ONE COMPANY TO TALK TO US ABOUT THE REGULATORY
8 STRATEGY, AND WE ACTUALLY HAD AN FDA LIAISON ON OUR
9 CONFERENCE CALLS BECAUSE THEY WERE CO-SPONSORING THE
10 MEETING. AND ON THAT CONFERENCE THEY FOUND OUT THAT
11 THIS COMPANY HAD GONE THROUGH THE DEVICE SIDE AND
12 THEY SHOULD HAVE GONE THROUGH CBER, BUT KIND OF KNEW
13 WHAT THEY WERE DOING. AND IT WAS SHOCKING TO ME
14 THAT THE PERSON IN THE DEVICE SIDE DIDN'T CALL CBER,
15 BUT I DON'T THINK THAT'S GOING TO HAPPEN A LOT IN
16 THE FUTURE. BUT YOU'RE EXACTLY RIGHT. SOME OF THE
17 COMPANIES KNOW HOW TO PLAY THE GAME.

18 I DON'T THINK ANY OF THE -- ANYTHING WITH
19 STEM CELL -- WELL, I DON'T KNOW. I THINK --

20 DR. CHARO: WELL, THERE ARE SOME STEM CELL
21 PRODUCTS YOU CAN IMAGINE THAT MIGHT ACTUALLY BE
22 BIOLOGICAL DEVICES. AND THE BANDAGE THAT I WAS
23 TALKING ABOUT --

24 DR. READ: RIGHT. RIGHT.

25 DR. CHARO: -- IS AN EXAMPLE OF ONE OF

BARRISTERS' REPORTING SERVICE

1 THOSE. SO THAT'S ONE WHERE YOU WOULD NEED THE
2 CENTER FOR BIOLOGICS AND THE DEVICE CENTER
3 COOPERATING BECAUSE THIS IS NOW A COMBINATION
4 PRODUCT.

5 DR. READ: RIGHT. RIGHT. I DO THINK THAT
6 FDA WILL CATCH UP WITH PEOPLE, EVERYBODY EVENTUALLY.
7 SO YOU CAN ONLY -- YOU MIGHT BE ABLE TO GET THINGS
8 OUT, AND THEN, YOU KNOW, I MEAN I THINK THAT IT'S
9 GOING TO BE TOUGH FOR PEOPLE TO AVOID CBER.

10 DR. CHARO: BUT JUST TO BE -- JUST ONE
11 LAST THING BECAUSE IT'S ACTUALLY PERTINENT FOR THE
12 STEM CELL AREA. TO THE EXTENT THINGS ARE GOING
13 THROUGH DEVICES, THE DEVICE CENTER IS WILDLY
14 UNDERFUNDED. I MEAN THE DRUG CENTER IS UNDERFUNDED
15 BADLY. THE DEVICE CENTER IS UNDERFUNDED
16 CATASTROPHICALLY BECAUSE OF THE NUMBER OF COMPONENTS
17 THAT NOW GO INTO MEDICAL DEVICES THAT ARE COMING
18 FROM MANUFACTURERS ALL OVER THE GLOBE, AND THEY HAVE
19 ABSOLUTELY NO WAY YET TO TRACK ALL THE COMPONENTS,
20 LET ALONE ENFORCE STANDARDS FOR THE MANUFACTURING
21 FACILITIES.

22 SO THIS IS ACTUALLY RATHER PERTINENT.
23 THEY ARE TRULY OVERWHELMED OVER THERE WITH THE
24 REGULAR ORDER OF BUSINESS, LET ALONE TRYING TO LOOK
25 AT THINGS WHICH HAVE THEIR EFFECT BOTH MECHANICALLY

BARRISTERS' REPORTING SERVICE

1 AND BIOLOGICALLY. I MEAN A LOT OF STEM CELL
2 THERAPIES MIGHT INVOLVE THINGS THAT ARE ON
3 SCAFFOLDS, FOR EXAMPLE. AND THE SCAFFOLDING IS A
4 DEVICE, BUT IT HAS CELLS THAT ARE ATTACHED TO THE
5 SCAFFOLD. SO THIS IS TRULY A PROBLEM. AND THE
6 DEVICE CENTER DOES NOT HAVE AN INDEPENDENT SOURCE OF
7 FUNDING LIKE THE PRESCRIPTION DRUG USER FEE ACT
8 WHICH GENERATES REVENUE FOR REVIEWERS FOR THE DRUG
9 CENTER. THERE'S BEEN A LOT OF DISCUSSION.
10 ACTUALLY, NO, THEY DO NOW HAVE PDUFA. THEY DO HAVE
11 SOME MONEY, BUT IT'S NOT NEARLY THE SAME AMOUNT OF
12 MONEY AS PDUFA GETS.

13 CHAIRMAN LO: AND ANN. THEN WHO ELSE
14 WANTS TO ASK A QUESTION?

15 DR. KIESSLING: SINCE WE'RE GOING TO NEED
16 TO KNOW -- I DON'T THINK THIS COMMITTEE, THAT WE'RE
17 GOING TO BECOME FDA EXPERTS, BUT BECAUSE WE'RE GOING
18 TO NEED TO KNOW SOME OVERSIGHT FOR THIS, IT'S VERY
19 DIFFICULT TO FIND THESE PUBLICATIONS ON FDA'S
20 WEBSITE. IS THERE -- I MEAN YOU CAN FIND -- I MEAN
21 THE WEB SITE IS COMPLETE, BUT IT'S REALLY CONFUSING
22 TO USE.

23 DR. READ: RIGHT.

24 DR. KIESSLING: IS THERE -- CAN SOMEONE
25 COME UP WITH LIKE TWO OR THREE OVERVIEWS OR

BARRISTERS' REPORTING SERVICE

1 REGULATORY BOOKLETS THAT IT WOULD BE REALLY HELPFUL
2 FOR THIS COMMITTEE TO HAVE?

3 DR. READ: I NEED TO WRITE A REVIEW PAPER,
4 I GUESS. I DON'T KNOW IF THERE'S ONE REALLY GOOD
5 ONE. WE WERE GOING TO PUT --

6 DR. KIESSLING: WE CAN HANDLE TWO OR
7 THREE, BUT WE'RE NOT GOING TO GET THE INFORMATION
8 OVER THE WEBSITE, I DON'T THINK.

9 DR. CHARO: YOU KNOW, ANN, THE FDA WEBSITE
10 DOESN'T HAVE WHAT YOU WANT. I KNOW THIS BECAUSE
11 I'VE LOOKED FOR IT FOR MY STUDENTS. BUT IN ADDITION
12 TO SOME OF ITS CONSUMER PUBLICATIONS, THEY'RE NOT
13 GOOD ENOUGH FOR WHAT YOU WANT. THE DEVICE CENTER
14 ACTUALLY HAS A REALLY GOOD FLOW CHART ON ITS
15 WEBSITE, WHICH WE CAN FIND FOR YOU. BUT THE DRUG
16 CENTER DOES NOT HAVE ANYTHING LIKE THAT.

17 THE FOOD AND DRUG LAW INSTITUTE, FDLI,
18 WHICH IS A PRIVATE INSTITUTE, HAS SOME WONDERFUL
19 PUBLICATIONS THAT PROVIDE OVERVIEW MATERIALS. NOW,
20 THEY HAVE TO BE PURCHASED; BUT IF THERE WERE A COPY
21 THAT WAS PURCHASED BY CIRM FOR USE FOR REFERENCE, IT
22 MIGHT BE WORTH CONSIDERING BECAUSE THEY DO HAVE JUST
23 WHAT YOU'RE LOOKING FOR.

24 DR. KIESSLING: SO THE PUBLICATIONS THAT
25 YOU MENTIONED WOULD NOT BE HELPFUL TO US?

BARRISTERS' REPORTING SERVICE

1 DR. READ: THEY' RE GOOD IF YOU HAVE
2 INSOMNIA.

3 CHAIRMAN LO: YOU NEED TO BE A LAWYER TO
4 READ THOSE.

5 DR. READ: THEY' RE GUIDANCES. I MEAN
6 THEY' RE NOT BAD. IT' S KIND OF TOUGH GOING THROUGH
7 THE GUIDANCE. I THINK IT WOULD BE A GOOD THING TO
8 WRITE A REVIEW PAPER ABOUT.

9 CHAIRMAN LO: LET' S ASK STAFF AND MAKE
10 GEOFF THE POINT PERSON TO TRY AND FIND US SOME SORT
11 OF APPROPRIATE DOCUMENT.

12 DR. READ: THE OTHER THING IS WE PUT
13 TOGETHER AT THE CTSI AT UCSF, AND WE' RE GOING
14 ALSO -- WELL, I' LL ADVERTISE THE MEETING LATER
15 THAT' S GOING TO BE IN MAY. BUT WE WERE GOING TO PUT
16 TOGETHER A CD OF ALL THE PERTINENT GUIDANCE
17 DOCUMENTS SO THAT PEOPLE COULD HAVE THEM ALL IN ONE
18 PLACE, THE ONES THAT WE THINK ARE THE MOST RELEVANT
19 DOCUMENTS. AND I DON' T KNOW. THAT' S NOT REALLY
20 WHAT YOU WANT BECAUSE YOU' RE NOT GOING TO READ EVERY
21 GUIDANCE. BUT YOU MIGHT -- THAT MIGHT BE HELPFUL.

22 DR. KIESSLING: WE' RE GOING TO NEED A
23 REFERENCE OF SOME SORT, I THINK.

24 DR. READ: YEAH. YEAH. OKAY.

25 CHAIRMAN LO: OKAY. SO GOING DOWN, I' M

BARRISTERS' REPORTING SERVICE

1 GOING TO PASS JEFF. JOHN WAGNER.

2 DR. WAGNER: I THINK IN RELATION TO THIS
3 DISCUSSION RIGHT NOW, BECAUSE THE VERY QUESTION THAT
4 YOU ASKED, YOU KNOW, I THINK IT ALSO NEEDS TO BE
5 STATED THEN BECAUSE OF THE DIFFICULTY OF JUST
6 UNDERSTANDING ALL THE REQUIREMENTS THAT ARE
7 REQUIRED, WHAT IS THE LEGAL RESPONSIBILITY WHEN YOU
8 SIGN THE FORM 1571 AND 1572?

9 DR. CHARO: I DON'T KNOW THE FORMS BY
10 NUMBER, BUT IT LOOKS LIKE YOU DO.

11 DR. READ: THE 1571 IS SORT OF THE FACE
12 SHEET WHERE YOU SAY I AM A SPONSOR, THE INSTITUTION
13 WHERE I WORK, AND SO ON. AND THEN 1572 --

14 DR. WAGNER: THE REASON WHY I ASK THE
15 QUESTION IS THAT WHEN YOU SIGN THOSE FORMS, IT'S A
16 LEGAL DOCUMENT TO SAY YOU UNDERSTAND EVERY
17 REGULATION THERE IS RELATED TO.

18 DR. READ: YEAH.

19 DR. WAGNER: WHAT PEOPLE DON'T REALIZE IS
20 THAT THEY COULD GO TO JAIL FOR NOT -- YOU CANNOT SAY
21 I DIDN'T KNOW.

22 DR. READ: RIGHT. MARLENE BARROW, WHO'S
23 OUR COORDINATOR AT THE CTSI, IS CONSTANTLY HAVING
24 PEOPLE SIGN THEIR 1571S AND 1572S, AND SHE COULD
25 PROBABLY TELL YOU HOW MANY OF THOSE PEOPLE ACTUALLY

BARRISTERS' REPORTING SERVICE

1 UNDERSTAND WHAT THEY'RE SIGNING. I MEAN I THINK
2 YOU'VE GOT A POINT. AND ALSO I THINK ONE OF THE
3 FORMS YOU'RE ACTUALLY SAYING WHICH IRB YOU'RE USING
4 AND THAT YOU HAVE AN IRB AND SO ON.

5 DR. WAGNER: BUT THE REASON FOR POINTING
6 THAT OUT, AND I THINK IT'S ALSO FOR ALAN TO KNOW, IS
7 THAT WHEN YOU'RE THEN DEVELOPING THESE TRIALS AND
8 YOU'RE ASKING PEOPLE THEN TO DEVELOP THESE IND'S,
9 THEY REALLY DO NEED TO BE AWARE OF EVERY DETAIL OF
10 WHAT'S REQUIRED FOR THEM TO KNOW TO DO THESE
11 STUDIES. AND SO IT'S NOT JUST ANY INVESTIGATOR THAT
12 CAN DO THESE STUDIES. IT'S GOING TO BE A VERY
13 SELECT FEW INVESTIGATORS THAT ACTUALLY DO THESE
14 CLINICAL TRIALS.

15 DR. READ: I MEAN I THINK THERE'S JUST A
16 REAL DEARTH OF REGULATORY EDUCATION IN ACADEMIC
17 SETTINGS, AND THERE'S A TENDENCY FOR PEOPLE TO NOT
18 WANT TO LEARN IT BECAUSE IT CAN BE KIND OF DRY AND
19 BORING, BUT IT'S REALLY, REALLY, REALLY IMPORTANT,
20 ESPECIALLY GOING INTO SOME OF THESE CELLULAR
21 THERAPIES.

22 DR. WAGNER: ESPECIALLY STEM CELL
23 THERAPIES WHICH IS SO HIGH PROFILE.

24 DR. READ: ABSOLUTELY. SO ONE OF THE
25 THINGS, AND THE CTSI'S, AND YOU PROBABLY HAVE A

BARRISTERS' REPORTING SERVICE

1 CLINICAL AND TRANSLATIONAL SCIENCE INSTITUTE AT YOUR
2 PLACE PROBABLY AT MINNESOTA, AND ALL THE BIG PLACES
3 HAVE THEM. THERE IS THIS COMPONENT, I MEAN ONE
4 SUGGESTION I HAVE IS THAT THE CTSA CONSORTIUM, WHICH
5 IS NIH-FUNDED, HAS REGULATORY KNOWLEDGE AND SERVICE
6 PROGRAMS THAT COULD POTENTIALLY PUT SOME THINGS
7 TOGETHER THAT AT LEAST IN ALL OF THOSE ACADEMIC
8 PLACES COULD START EDUCATING PEOPLE.

9 CHAIRMAN LO: OKAY. LET'S MOVE ON. ROB.

10 DR. TAYLOR: MY QUESTION, AND I APPRECIATE
11 ACTUALLY BOTH OF YOUR PRESENTATIONS, IS REALLY
12 ABOUT, PRACTICALLY SPEAKING, ARE THESE FDA
13 REGULATIONS AS STRICT AND AS FORMAL AS YOU'RE MAKING
14 THEM SOUND BECAUSE I'M A LITTLE RELUCTANT TO GO BACK
15 AND REVISIT THIS, BUT LAST SUMMER I THINK IT WAS
16 FAIR TO SAY THAT BOB KLEIN AND I GOT INTO IT A
17 LITTLE BIT OVER HIS -- THE STORY THAT GERON WAS
18 PUTTING FORTH A CELL LINE FOR CLINICAL TRIALS THAT
19 WAS DERIVED FROM AN ANONYMIZED PATIENT FOR WHICH I
20 KNOW THAT THERE WAS NO INFORMATION ABOUT THE MALE
21 PARTNER. AND I THINK THE INFORMATION THAT WAS
22 ACTUALLY AVAILABLE ABOUT THE FEMALE PARTNER WAS
23 ACTUALLY QUITE LIMITED.

24 NOW, I DON'T KNOW IF THIS IS THE SAME CELL
25 LINE THAT'S ACTUALLY GOING FORWARD; BUT IF IT IS,

BARRISTERS' REPORTING SERVICE

1 THEN REALLY THE STRICTNESS OF THE CMC REGULATIONS
2 ARE NOT, IN MY VIEW, BEING PLAYED OUT THE WAY AT
3 LEAST YOU BOTH SUGGESTED.

4 DR. READ: YEAH. YOU KNOW, IT
5 ACTUALLY -- I COME FROM THE WORLD OF TRANSFUSION
6 MEDICINE AND BLOOD BANKING WHERE IF YOU ACCIDENTALLY
7 DON'T CHECK THE BOX NEXT TO THE PERSON'S TRAVEL
8 HISTORY, YOU KNOW, YOU CAN GET SHUT DOWN BY THE FDA.
9 AND I WAS A LITTLE SURPRISED AT THE LIGHTNESS OF
10 KNOWING THE FULL DONOR HISTORIES ON SOME OF THESE
11 CELL LINES. AND I THINK THAT'S GOING TO BE A POINT
12 OF DISCUSSION GOING FORWARD.

13 I DON'T KNOW WHAT THE RESOLUTION IS
14 BECAUSE IF YOU HAVE A GREAT CELL LINE, YOU DON'T
15 REALLY WANT TO GET RID OF IT; BUT ON THE OTHER HAND,
16 IDEALLY EVERY CELL LINE WOULD BE DERIVED WHERE
17 YOU'RE FOLLOWING COMPLETE CURRENT GOOD TISSUE
18 PRACTICE REGULATIONS WITH ALL THE DONOR HISTORY AND
19 THEN GMP FOR THE DERIVATION, BUT YOU'RE RIGHT. IT'S
20 NOT BEING DONE, SO I DON'T -- YOU MAY HAVE SOME
21 INSIGHT ON THAT.

22 DR. CHARO: JUST TO ADD ON THAT BECAUSE
23 THIS IS A PLACE WHERE CIRM, BECAUSE IT CAN FUND
24 DERIVATIONS, ACTUALLY HAS SOME INTERESTING CHOICES.
25 IF YOU GO BACK INTO THE DETAILS OF THOSE TISSUE

BARRISTERS' REPORTING SERVICE

1 RULES, THEY DISTINGUISH BETWEEN TISSUE DONATION THAT
2 GOES FROM STRANGER TO STRANGER, AND IN THE
3 REPRODUCTIVE CONTEXT TISSUE THAT IS BEING COMBINED
4 AS BETWEEN MARRIED -- WITHIN A MARRIED COUPLE. SO
5 THAT, FOR EXAMPLE, IF YOU WANT TO BE AN ANONYMOUS
6 SPERM DONOR, YOU NOW HAVE TO BE SCREENED FOR A DOZEN
7 DIFFERENT INFECTIOUS DISEASES. IF, ON THE OTHER
8 HAND, IT'S YOU AND YOUR HUSBAND USING YOUR OWN EGGS
9 AND SPERM FOR IVF, THE SCREENING IS VOLUNTARY. THE
10 THEORY BEING YOU COULD HAVE HAD SEX AND INFECTED ONE
11 ANOTHER, SO WHO ARE WE TO INSIST ON THE SCREENING.
12 RIGHT?

13 DR. TAYLOR: IT WASN'T FOR SEVERAL YEARS
14 THOUGH. I MEAN FOR MANY YEARS IN CALIFORNIA YOU HAD
15 TO SCREEN.

16 DR. CHARO: RIGHT. I'M TALKING ABOUT THE
17 FDA REGULATIONS UNDER TISSUE PLAN ONLY. AND BECAUSE
18 OF THIS DISTINCTION, ALTHOUGH I SUSPECT MANY CLINICS
19 ARE, IN FACT, ENCOURAGING PEOPLE TO BE SCREENED EVEN
20 IF THEY'RE USING THEIR OWN GAMETES, SOME OF THE
21 EMBRYOS THAT ARE LEFT OVER FROM IVF CLINICS, THE
22 PARADIGMATIC SOURCE FOR DERIVATIONS COME FROM PEOPLE
23 WHO WERE NEVER SCREENED FOR INFECTIOUS DISEASE AND
24 WHOSE GENETIC HISTORIES CERTAINLY WERE NOT
25 NECESSARILY TAKEN.

BARRISTERS' REPORTING SERVICE

1 SO THEY' RE EMBRYOS THAT ARE THE LEAST
2 WELL-KNOWN, LEAST WELL-UNDERSTOOD, AND POTENTIALLY
3 MOST LIKELY TO BE INFECTED. AND SO IF YOU REALLY
4 WANTED TO HAVE THE BEST POSSIBLE SOURCE FOR NEW
5 LINES, YOU WOULD DO DERIVATIONS FROM EMBRYOS THAT
6 WERE SPECIFICALLY MADE FOR RESEARCH FROM PEOPLE
7 WHOSE GAMETES WERE -- FROM GAMETES THAT CAME FROM
8 PEOPLE WHO HAD BEEN VERY CAREFULLY SCREENED FROM THE
9 OUTSET. AND YET WE ALL KNOW THAT MAKING AN EMBRYO
10 SOLELY FOR RESEARCH, WHETHER BY IVF OR BY SCNT OR
11 ANY OTHER METHOD, RAISES ALL SORTS OF HACKLES AMONG
12 ALL SORTS OF PEOPLE.

13 SO HERE' S AN IRONY HERE, THAT THE SOURCE
14 THAT EVERYBODY WANTS TO USE IS NOT NECESSARILY THE
15 BEST ONE IN TERMS OF SAFETY.

16 DR. READ: RIGHT.

17 DR. CHARO: AND BECAUSE CIRM CAN FUND
18 DERIVATIONS, THERE IS THE OPTION OF FUNDING TO
19 CREATE CELL LINES WHERE YOU KNOW EVERYTHING ABOUT
20 THE STATUS OF THE DONORS. AND ALSO, AS YOU WERE
21 SAYING, FROM THE OUTSET CAN MANAGE IT AND IN
22 ACCORDANCE WITH GOOD MANUFACTURING PRACTICES. IN
23 ENGLAND, I THINK IT' S NEWCASTLE, THEY NOW HAVE A
24 SPECIAL SETUP WHERE THE FERTILITY CLINIC IS LOCATED
25 PHYSICALLY PROXIMATE TO THE LABORATORY SO THAT THE

BARRISTERS' REPORTING SERVICE

1 HANDOFF OF MATERIALS CAN BE DONE IN A CLEAN ROOM SO
2 THERE' S ABSOLUTELY NO POSSIBILITY OF CONTAMINATION.
3 AND THEN YOU CAN START RIGHT FROM THE OUTSET WITH
4 LABORATORY PROCEDURES THAT MINIMIZE ANY POSSIBILITY
5 OF SOME KIND OF CONTAMINATION OR INFECTIOUS DISEASE
6 TRANSMISSION.

7 HAVING A PLACE WHERE YOU' VE GOT GOOD
8 MANUFACTURING FACILITIES THAT MEET GMP REQUIREMENTS
9 IN CONJUNCTION WITH YOUR FERTILITY CLINICS, NOT THAT
10 COMMON. ONE OF THE BIG INNOVATIONS OF WISCONSIN WAS
11 CREATING A GMP FACILITY ON CAMPUS SO WE COULD GO
12 FROM LAB TO ANIMAL TO HUMAN ALL IN ONE CAMPUS. BUT
13 IT IS CLEARLY SOMETHING HERE IN CALIFORNIA AND WITH
14 CIRM FUNDING AND WITH CIRM SUPPORT, RIGHT, THAT IS
15 OPEN TO CONSIDERATION, WHICH IS TO MAKE SURE THAT
16 YOU NOW HAVE THE BEST POSSIBLE MANAGEMENT OF SOURCES
17 AND OF LINES.

18 DR. READ: RIGHT. AND PROBABLY GERON
19 CONVINCED THE FDA BY RETROSPECTIVE TESTING OF THE
20 CELL LINE THAT IT WAS OKAY, AND MAYBE THAT' S OKAY,
21 BUT IDEALLY YOU' D LIKE TO DO EVERYTHING
22 PROSPECTIVELY --

23 DR. CHARO: THIS WAS AN OPEN QUESTION.

24 DR. READ: -- TO HAVE THE BIGGEST SAFETY
25 APPROACH.

BARRISTERS' REPORTING SERVICE

1 DR. CHARO: YEAH. IT WAS AN OPEN QUESTION
2 FOR A LONG TIME. FDA WOULD NOT -- IT WOULD NOT SHOW
3 ITS CARDS. IT WOULD NOT SAY WHETHER OR NOT IT WOULD
4 EVER APPROVE CLINICAL TRIALS USING THE PRESIDENTIAL
5 LINES BECAUSE WE DID NOT HAVE ALL THE INFORMATION
6 ABOUT THOSE DONORS AND COULDN'T GET IT BECAUSE MANY
7 OF THEM WERE LOST IN ANONYMITY. AND THE ALTERNATIVE
8 WAS DIRECT TESTING, BUT DIRECT TESTING DOESN'T LET
9 YOU DO THINGS LIKE SCREEN DONORS FOR THEIR EXPOSURE
10 TO SITUATIONS THAT PUT THEM AT HIGHER THAN TYPICAL
11 RISK FOR THINGS LIKE CJD, YOU KNOW, MAD COW
12 VARIANCE, WHERE YOU CAN'T DO DIRECT TESTING FOR IT,
13 WHICH IS WHY, IF YOU LIVED IN FRANCE AND ATE BEEF IN
14 1985, YOU KNOW, YOU STILL CAN'T GIVE BLOOD.

15 SO IT WAS REALLY AN OPEN QUESTION. YEAH,
16 YOU'RE RIGHT. GERON OBVIOUSLY GOT THEM TO AGREE TO
17 DO DIRECT TESTING. BUT IN AN ERA IN WHICH MORE
18 LINES MAY BE AVAILABLE, YOU KNOW, NOW THAT WE'VE HAD
19 HOW MANY YEARS OF PRIVATE FUNDING FOR DERIVATIONS,
20 YOU DO HAVE TO ASK WHETHER THE REASON THAT GERON GOT
21 THEM TO AGREE TO THIS WAS SIMPLY BECAUSE THAT WAS
22 THE BEST CHARACTERIZED LINE. THEY'D BEEN WORKING
23 WITH IT FOR A LONG TIME AS OPPOSED TO IT BEING A
24 DECISION AT A POLICY LEVEL THAT THIS WAS EQUIVALENT
25 IN SAFETY TO HAVING LINES THAT CAME FROM DONORS WITH

BARRISTERS' REPORTING SERVICE

1 KNOWN BACKGROUNDS.

2 CHAIRMAN LO: IF I CAN JUST ADD SOMETHING
3 TO WHAT ALTA SAID. THE OTHER THING YOU CAN'T GET
4 FROM SCREENING MATERIALS YOU'RE GOING TO TRANSPLANT,
5 OF COURSE, IS A STRONG FAMILY HISTORY OF SOMETHING
6 LIKE CANCER THAT MIGHT BE TRANSMITTED IN THE
7 TRANSPLANT.

8 DR. CIBELLI: I'D LIKE TO BE MORE
9 PRACTICAL BECAUSE I THINK CIRM IS GOING TO START
10 ENTERTAINING PRECLINICAL STUDIES THAT WILL
11 EVENTUALLY END UP IN AN IND. AS A PI, I WOULD
12 EXPECT THAT CIRM WILL HELP ME OUT AND NAVIGATE
13 THROUGH ALL THESE THINGS.

14 ONE OF THE THINGS THAT I'VE SEEN AT THE
15 FDA AND TALKING WITH THE FDA IS THAT ALL THE CELL
16 THERAPY TRIALS THAT THEY HAVE GONE THROUGH BEFORE
17 THE GERON ONE DIDN'T INCLUDE THE RISK OF INJECTING
18 CELLS THAT CAN TURN INTO ANYTHING, LIKE PLURIPOTENT
19 CELLS. SO I THINK IT'S FAIR TO SAY THAT THERE IS
20 VERY LITTLE EXPERIENCE FROM THE REGULATORY
21 STANDPOINT. AND HOW ARE YOU GOING TO HANDLE THESE
22 CELLS?

23 SECOND POINT IS CIRM WILL ENTERTAIN THOSE
24 STUDIES. YOU TELL ME IF I'M WRONG. BUT I'M
25 ASSUMING THAT WE WILL SEE A NUMBER OF PEOPLE TRYING

BARRISTERS' REPORTING SERVICE

1 TO DO THIS. SO THE PHASE THAT CIRM IS GOING TO HAVE
2 HELP THE PI IS IN THE PRECLINICAL -- AT THE SIGN OF
3 PRECLINICAL STUDIES THAT ARE GOING TO BE VALID WHEN
4 YOU GO AND TRY TO DO AN IND. I'VE TALKED TO THE FDA
5 A NUMBER OF TIMES, AND THEY'RE WONDERFUL PEOPLE, BUT
6 FOR REASONS OF CONFIDENTIALITY THEY CANNOT TELL YOU
7 WHAT ARE THE MAJOR HURDLES THAT THEY HAD TO ASK
8 GERON TO GO THROUGH.

9 SO THEY HAVE THIS MECHANISM WHEREBY ONCE
10 YOU FILE AN IND WITH THEM, THEY HAVE MASTER FILES
11 THAT YOU CAN DIG INTO AND LEARN THINGS THAT GERON
12 LEARNED ALONG THE WAY AND USE THAT TO YOUR
13 ADVANTAGE. SO I WONDER IF CIRM, SINCE YOU'RE GOING
14 TO BE PAYING FOR MOST OF THESE PRECLINICAL STUDIES,
15 IF YOU COULD MAKE AVAILABLE TO OTHER RESEARCHERS
16 THAT ARE PLANNING DIFFERENT STUDIES WHAT ARE THE
17 PROTOCOLS, WHAT KIND OF EXPERIMENTAL DESIGN THEY
18 HAVE TO BE MINDFUL OF WHEN THEY'RE PLANNING ON GOING
19 INTO THESE STUDIES SOON.

20 DR. CSETE: WE'VE THOUGHT ABOUT THIS,
21 JOSE, AS YOU MIGHT IMAGINE. AND OBVIOUSLY WE CAN'T
22 BE RELEASING CONFIDENTIAL INFORMATION FROM ONE
23 INVESTIGATOR TO ANOTHER. WE CAN'T BE A BROKER IN
24 THAT REGARD. I THINK THE MOST IMPORTANT --

25 DR. CIBELLI: WHY NOT?

BARRISTERS' REPORTING SERVICE

1 DR. CSETE: WE CAN'T.

2 DR. READ: I ACTUALLY HAVE A FEW SLIDES ON
3 THAT.

4 DR. CSETE: IF THE INVESTIGATORS AGREE, I
5 THINK THAT'S ABSOLUTELY FINE. I THINK THE MOST
6 IMPORTANT THING WE CAN DO IS HAVE AN ONGOING
7 DIALOGUE WITH THE FDA AND HAVE A SEAT AT THE TABLE
8 AT THE APPROPRIATE COMMITTEES AND KEEP OURSELVES AS
9 WELL INFORMED TO DISSEMINATE THE INFORMATION TO ALL
10 OF OUR INVESTIGATORS AS POSSIBLE. AND WE HAVE BEEN
11 TRYING TO DO THAT, AS E. J. WELL KNOWS.

12 DR. READ: RIGHT.

13 DR. CSETE: WITH A BIT OF DIFFICULTY, BUT
14 I THINK THAT'S GOING TO CHANGE SOON.

15 DR. CIBELLI: BUT, MARIE, WHAT I'M SAYING
16 IS IF WE HAVE IN MIND THE PATIENT AND WE REALLY WANT
17 TO GET THIS TO THE CLINIC FAST --

18 DR. CSETE: WE HAVE TO ADVOCATE FOR
19 TRANSPARENCY, THAT EVERY PATIENT WHO GETS A
20 PLURIPOTENT STEM CELL, THAT THE INFORMATION THAT
21 COMES FROM THAT PATIENT IS AVAILABLE TO EVERYONE,
22 NOT JUST TO OUR INVESTIGATORS. AND CERTAINLY WE'RE
23 TRYING TO ENCOURAGE THAT.

24 DR. CIBELLI: BUT I GUESS I WASN'T MAKING
25 MYSELF CLEAR. LET'S SAY I'M PLANNING TO DO A

BARRISTERS' REPORTING SERVICE

1 CLINICAL STUDY, AND I ASK THE QUESTION -- I ASKED
2 THIS QUESTION TO THE FDA AND THEY COULDN' T ANSWER.
3 I SAID TELL ME HOW MANY ANIMALS I HAVE TO INJECT TO
4 RULE OUT THE POSSIBILITY OF A TERATOMA FORMATION.
5 THE ANSWER WAS WE KNOW HOW MANY. WE CAN' T TELL YOU.
6 YOU HAVE TO READ THIS REFERENCE WHERE THEY TALK
7 ABOUT HOW MANY ANIMALS YOU SHOULD INJECT, HOW MANY
8 CELLS, FOR HOW LONG YOU HAVE TO FOLLOW THE ANIMAL.
9 SO THOSE ARE CORE PROTOCOLS THAT YOU SHOULD TRY TO
10 GET SOME SORT OF CONSENSUS SOON.

11 DR. READ: I ACTUALLY HAVE A COUPLE
12 SLIDES. MAYBE I' LL -- THIS IS ONLY A FEW MORE
13 SLIDES. I WAS GOING TO DO IT BEFORE MARIE' S
14 SESSION, BUT SINCE YOU' VE ASKED.

15 SO THIS IS WHAT FDA HAS FOCUSED ON DURING
16 PRODUCT DEVELOPMENT, AND IT' S SAFETY, SAFETY,
17 SAFETY. AND YOU' VE SEEN THIS BEFORE WITH PHASE I,
18 PHASE II, PHASE III, AND THEN PHASE IV IS MARKETING
19 AND WHERE YOU PUT YOUR IND AND BLA IN. BUT LET ME
20 SKIP THAT.

21 SO THERE ARE A NUMBER OF INTERACTIONS
22 BETWEEN I AS THE POTENTIAL SPONSOR AND CBER OR THE
23 OTHER CENTER -- ANOTHER CENTER IN FDA. SO YOU CAN
24 HAVE THESE DIFFERENT INTERACTIONS THAT RANGE FROM A
25 PRE-PRE-IND MEETING TO A PRE-IND MEETING TO THE

BARRISTERS' REPORTING SERVICE

1 ACTUAL IND SUBMISSION WHERE THEY REVIEW IT AND THEN
2 ONGOING INTERACTIONS. IF YOU JUST ASK THEM GENERAL
3 QUESTIONS, THEY'RE GOING TO GIVE YOU GENERAL ANSWERS
4 AND NOT GIVE YOU A LOT OF SPECIFICS. THEY TEND TO
5 DO BEST IF YOU PROPOSE SOMETHING, AND THEY WILL
6 RESPOND TO IT.

7 AND THEN THE OTHER THING IS THE
8 COMMUNICATIONS BETWEEN THE SPONSOR AND CBER OR
9 ANYBODY IN FDA ARE CONSIDERED PROPRIETARY TO THE
10 SPONSOR. AND YOU CANNOT ACCESS THEM BY PUBLIC --
11 THE PUBLIC CAN'T GET TO THEM, OTHER SPONSORS CAN'T
12 GET TO THEM. THEY AREN'T SUBJECT TO FREEDOM OF
13 INFORMATION ACT. YOU JUST CAN'T GET TO THEM.

14 NOW, IF A GIVEN SPONSOR LIKE GERON OR STEM
15 CELLS, INC., SAYS, "OH, I'LL TELL YOU WHAT I DID AND
16 I'LL SHOW YOU WHAT I DID," THEY'RE ALLOWED TO DO
17 THAT, BUT THE FDA IS NOT ALLOWED TO DO THAT. AND
18 WHETHER OR NOT CIRM IS ALLOWED TO DO THAT, I DOUBT
19 IT BECAUSE THOSE ARE PROPRIETARY. SO THAT'S A BASIC
20 PRINCIPLE.

21 SO WHAT DO YOU DO TO GET AROUND THAT? AND
22 I THINK AS A GROUP PLEA -- THERE'S GENERAL GUIDANCE
23 THAT THEY PUT OUT. THEY HAVEN'T PUT OUT A LOT OF
24 REALLY SPECIFIC GUIDANCE, YET, BUT I THINK THEY WILL
25 OVER TIME. I THINK IT'S JUST TOO EARLY. THE

BARRISTERS' REPORTING SERVICE

1 SCIENCE HASN'T MATURED ENOUGH, AND I THINK THE BEST
2 WAY TO PROMPT GUIDANCE IS TO JUST KEEP ENGAGING WITH
3 THEM, PROPOSE THINGS, AND PRESENT SCIENTIFIC DATA TO
4 THEM. AND HOW DO YOU DO THAT? YOU DO IT -- I'LL
5 SKIP THAT -- WE'RE ACTUALLY HAVING A MEETING. THIS
6 IS AN ADVERTISEMENT FOR A MEETING WE'RE HAVING IN
7 MAY WHERE WE'RE GOING TO TRY -- WE ACTUALLY -- WE'RE
8 GOING TO HAVE FOUR FDA PEOPLE THERE. WE INVITED
9 THEM AND THEY LOVE COMING TO THESE MEETINGS. THEY
10 MAY NOT GIVE YOU THE EXACT ANSWER YOU WANT, BUT THE
11 MORE YOU PRESENT TO THEM AND THE MORE YOU REPEAT
12 YOURSELF AND SAY THIS IS WHAT I THINK, AND IF YOU
13 CAN GET COMPANIES, WE'RE GOING TO HAVE A FEW
14 COMPANIES GET UP AND TALK ABOUT THEIR PRECLINICAL
15 PROGRAMS, SO I THINK THAT DIALOGUE IS GOING TO HELP
16 YOU, BUT IT MAY NOT GET IT TO YOU AS QUICKLY AS YOU
17 WANT IT.

18 DR. CIBELLI: I REALLY APPRECIATE THE
19 EXPLANATION. I WAS TRYING TO MAKE IT BETTER.
20 THAT'S MY POINT.

21 DR. TROUNSON: BERNIE, I'M SENSITIVE TO
22 WHAT JOSE IS SAYING. YOU KNOW, IT'S OUR MISSION TO
23 DEVELOP AS MANY TREATMENTS IN THE CLINIC AS IS
24 POSSIBLE IN THE TIMEFRAME THAT WE HAVE. SO IF THERE
25 WERE STANDARD OPERATING PROCEDURES THAT WE COULD

BARRISTERS' REPORTING SERVICE

1 POINT TO AND WE COULD ACTUALLY THINK ABOUT IN OUR
2 NEGOTIATIONS WITH MAKING OUR GRANTS TO ORGANIZATIONS
3 THAT THE GENERAL STANDARD OPERATING PROCEDURES BE
4 MADE AVAILABLE. I THINK NOT ALL OF THESE THINGS ARE
5 NECESSARILY COMPETITIVELY PLAYED. SO I TAKE IT AS
6 SOMETHING YOU PEOPLE SHOULD GIVE US SOME GUIDANCE ON
7 BECAUSE I THINK THERE ARE SOME CERTAIN CHOICES THAT
8 ARE THERE. AND OUR MISSION IS TO GET TREATMENTS TO
9 THE CLINIC. AND, YOU KNOW, I THINK THAT'S WHAT WE
10 HAVE TO BE MINDFUL OF.

11 DR. CIBELLI: I THINK CIRM SHOULD TAKE THE
12 INITIATIVE AND HAVE A TASK FORCE, A GROUP OF PEOPLE
13 THAT SHOULD BE THINKING ABOUT THIS, AND HOW CAN WE
14 HELP THE RESEARCHERS, TELL THEM WHAT TO DO, NOT TO
15 MAKE SURE THAT THE HEPATOCYTE IS GOING TO MAKE
16 EVERYTHING THEY NEED TO DO TO MAKE A HEPATOCYTE, BUT
17 FOR SAFETY. WE'RE NOT PREPARED FOR THAT. THIS IS A
18 NEW TERRITORY. AND AS A PI YOU CAN SPEND A LOT OF
19 TIME AND MONEY TALKING TO THE FDA TO GET AN ANSWER
20 THAT CIRM SHOULD PROVIDE OR COULD PROVIDE.

21 CHAIRMAN LO: OKAY. I'M SORT OF TORN
22 BETWEEN TWO THINGS HERE. WE DO NEED TO GET TO A
23 BREAK. PAT BECKER HAS BEEN TRYING TO SIGNAL ME
24 WE'RE OVERDUE NOW. SO THREE QUICK COMMENTS. WE
25 DON'T HAVE TO SETTLE IT. THIS IS JUST THE WARM-UP

BARRISTERS' REPORTING SERVICE

1 SESSION. I ALSO WANT TO MAKE SURE OUR NEXT TWO
2 SESSIONS ON CLINICAL SCIENTIFIC ISSUES AND DESIGN
3 ISSUES AND A CASE STUDY SESSION ALSO GET THEIR FAIR
4 TIME AND THEN WE GET TO DINNER. SO THREE QUICK
5 COMMENTS, AND THEN I'M GOING TO TAKE A BREAK AND
6 MOVE ON. SO ANN AND JOHN AND SOMEONE ELSE OUT
7 THERE.

8 DR. KIESSLING: I JUST WANTED TO FOLLOW UP
9 WHAT JOSE WAS SAYING JUST A LITTLE BIT BECAUSE I
10 THINK THE GERON TRIAL IS A REALLY INTERESTING
11 EXAMPLE OF THIS. IN FACT, THAT THEIR ANIMAL MODEL,
12 WHEN THEY LOOKED BACK ON IT AS A THORACIC INJURY,
13 WAS PROBABLY NOT THE MOST IDEAL ANIMAL FOR HUMAN
14 SPINAL CORD INJURY. AND THAT COST EVERYBODY ABOUT
15 THREE YEARS BECAUSE THORACIC INJURIES ARE LESS
16 COMMON IN HUMANS. SO THERE'S SOME REALLY SIMPLE
17 KINDS OF THING THAT I THINK CIRM COULD DO TO REALLY
18 STIMULATE TRANSLATIONAL MEDICINE IN TERMS OF JUST
19 HELPING PI'S KNOW THAT THEIR ANIMAL MODEL MAY BE
20 APPROPRIATE FOR WHATEVER IT IS THEY'RE TRYING TO DO.

21 DR. CSETE: BUT WE CAN'T MAKE THAT
22 DECISION. THE FDA HAS TO MAKE THAT DECISION, AND WE
23 CAN AS MUCH AS POSSIBLE TRANSMIT THE INFORMATION
24 THAT WE GATHER FROM, YOU KNOW, A MILLION DIFFERENT
25 PLACES TO OUR INVESTIGATORS, WHICH WE ABSOLUTELY TRY

BARRISTERS' REPORTING SERVICE

1 TO DO.

2 AND THE OTHER THING THAT WE DO ALL THE
3 TIME INFORMALLY, JOSE, BUT IT HAS TO BE INFORMAL, IS
4 WHEN WE KNOW THAT ONE INVESTIGATOR HOLDS SOME
5 INFORMATION THAT MAY BE HELPFUL TO ANOTHER, WE ASK
6 THEM TO TALK TO EACH OTHER. BUT IN TERMS OF BEING A
7 BROKER OF CONFIDENTIAL SCIENTIFIC PRODUCT, WE CAN'T
8 DO THAT.

9 DR. CIBELLI: YOU'RE PAYING FOR IT.

10 CHAIRMAN LO: I WANT TO CUT YOU OFF
11 BECAUSE I DO WANT TO GET TWO MORE PEOPLE A CHANCE.
12 WE'RE GOING TO COME BACK TO THIS AND IT'S GREAT, BUT
13 I WANT TO MAKE SURE WE HEAR OTHER MATERIALS. ALTA
14 AND THEN JOHN.

15 DR. CHARO: I JUST WANTED TO NOTE THAT IN
16 SOME WAYS THIS DISCUSSION SEEMS TO PARALLEL THE ONE
17 AROUND INTELLECTUAL PROPERTY; THAT IS, THERE IS A
18 PROPRIETARY INTEREST IN SOMETHING. CIRM HAS THE
19 CHOICE, IF IT WISHES TO, TO CONDITION THE RECEIPT OF
20 A GRANT ON THE RELINQUISHMENT OF SOME OF OUR
21 PROPRIETARY OR PROPERTY RIGHTS, BUT THAT'S A VERY,
22 VERY DIFFICULT THING TO DO BECAUSE OF THE WAY IT
23 UPSETS ALL SORTS OF INCENTIVE STRUCTURES. SO IT'S
24 NOT STRAIGHTFORWARD AT ALL, BUT IT'S NOT AS IF THESE
25 THINGS ARE BEYOND CIRM'S CONTROL. IT'S JUST THAT

BARRISTERS' REPORTING SERVICE

1 YOU MAY COME TO THE CONCLUSION THAT THE CURRENT
2 SYSTEM, AS BAD AS IT IS, IS BETTER THAN THE
3 ALTERNATIVES.

4 BUT AT LEAST WE KNOW THAT THE FDA WAS
5 LEARNING ON THE FLY WITH GERON. GERON HAS SAID THAT
6 THEY' RE GOING TO PUBLISH MUCH OF THEIR SAFETY DATA,
7 BUT THEY' RE KEEPING THE ROAD MAP TO THEMSELVES AS
8 PROPRIETARY. BUT NO QUESTION, THAT AS NEW PEOPLE
9 APPROACH THE FDA, THAT PROCESS OF CONVERSATION IS
10 GOING TO GET MORE AND MORE EFFICIENT BECAUSE THERE
11 WILL HAVE BEEN A LOT OF LEARNING ON FDA' S PART ABOUT
12 HOW MANY ANIMALS, HOW MANY CELLS, WHAT TO WATCH FOR.
13 AND SO THE INFORMATION THEY GIVE BACK IS GOING TO BE
14 A WHOLE LOT BETTER. SO IT REALLY IS GOING TO GET A
15 LOT EASIER.

16 DR. WAGNER: RATHER THAN BEAT THIS TO
17 DEATH, I MEAN, I THINK THAT THE POINT IS -- ALL THE
18 POINTS ARE WELL TAKEN I THINK, BUT JUST KNOW THAT
19 THIS IS NOT UNIQUE TO EMBRYONIC STEM CELLS. IT' S
20 THE EXACT SAME THING THAT WE HAVE DEAL WITH FOR
21 EVERY OTHER STEM CELL SOURCE. AND WHAT WE HAVE TO
22 DO IS WE HAVE TO RECREATE THE WHEEL EVERY TIME, YOU
23 KNOW, AND TRY TO GET IT THROUGH THE FDA. AND
24 SOMETIMES YOU' RE SUCCESSFUL, BUT THEN YOU ALSO FIND
25 OUT THAT YOU COMPARE IT TO SOMEONE ELSE WHO PUT IN

BARRISTERS' REPORTING SERVICE

1 SOMETHING SIMILAR, AND THEN, AGAIN, YOU'RE TRYING TO
2 GUESS.

3 I THINK THAT WHAT YOU'RE TRYING TO ASK
4 FOR, WHICH MAKES PERFECT SENSE ON THE SIDE OF MOVING
5 STEM CELL THERAPIES FORWARD, IS THAT DO WE HAVE TO
6 REINVENT THE WHEEL EVERY SINGLE TIME? CAN WE
7 DEVELOP BEST PRACTICES? CAN WE DEVELOP THE BEST
8 ANIMAL MODELS? INVEST IN THE ANIMAL MODELS WHICH
9 GETS BEYOND, THEN, THE FDA BECAUSE THEN YOU'RE
10 SAYING THIS IS SCIENTIFIC DATA THAT SAYS THIS IS THE
11 ANIMAL MODEL FOR LOOKING AT TOXICOLOGY. THIS IS THE
12 ANIMAL MODEL FOR LOOKING AT HEART DISEASE. THIS IS
13 THE ANIMAL MODEL LOOKING FOR DIABETES. NO ONE HAS
14 BEEN ABLE TO DO THAT BECAUSE IT'S ALL UNDER THE
15 UMBRELLA OF PROPRIETARY, BUT YOU COULD DEVELOP RFP'S
16 TO SPECIFICALLY ASK THAT QUESTION.

17 DR. TROUNSON: YOU KNOW, I TAKE THESE
18 POINTS AS BEING VERY IMPORTANT. AND, OF COURSE,
19 JOHN'S ALREADY BEEN IN THIS SPACE QUITE A BIT. IT'S
20 ALL A MATTER OF NEGOTIATION, YOU KNOW, IN MY MIND.
21 YOU KNOW, WHAT WE DO GOING FORWARD, I THINK, IS WHAT
22 WE NEED TO DO IS TAKE ON WHAT IS REALLY BEST FOR
23 DELIVERY OF WHAT WE'RE DOING. AND SO SOME THINGS
24 WILL BE IMPOSSIBLE OR DIFFICULT, OTHERS WILL
25 POSSIBLY BE QUITE EASY TO DO. AND I THINK IT IS

BARRISTERS' REPORTING SERVICE

1 NEGOTIATION, YOU KNOW, PERHAPS EACH TIME, BUT AT
2 LEAST INDICATIVE THAT SETTING UP SOME STANDARDS HERE
3 WOULD BE -- I THINK WOULD BE CLEARLY HELPFUL.

4 CHAIRMAN LO: OKAY. I WANT TO THANK ALTA
5 AND E. J. FOR GETTING US OFF TO SORT OF A
6 STIMULATING START. LET'S TAKE A 15-MINUTE BREAK.
7 SO WE'LL BE BACK AT 3:30. OKAY. THANKS VERY MUCH.

8 (A RECESS WAS TAKEN.)

9 CHAIRMAN LO: OKAY. WHY DON'T WE
10 RECONVENE. WE'VE GOT A LOT OF EXCITING INFORMATION
11 TO PROCESS AND THINK THROUGH. FIRST OF ALL, DOES
12 ANYBODY HAVE A LASER POINTER? GOT ONE. OKAY.
13 WHILE THEY'RE DOING THAT, I'M GOING TO INTRODUCE OUR
14 NEXT SPEAKER. WE'RE NOW GOING TO SHIFT AND TALK
15 ABOUT SCIENTIFIC AND DESIGN ISSUES IN CLINICAL
16 TRIALS IN GENERAL AND STEM CELL CLINICAL TRIALS IN
17 PARTICULAR. AND THEN WE'RE GOING TO FOLLOW THAT
18 WITH SOME CASE STUDIES THAT MARIE CSETE AND E. J.
19 READ HAVE PREPARED FOR US.

20 OUR NEXT SPEAKER IS DR. BRUCE DOBKIN,
21 WHO'S PROFESSOR OF NEUROLOGY AT UCSF.

22 DR. DOBKIN: UCLA.

23 CHAIRMAN LO: OKAY. UCLA, GEFEN SCHOOL
24 OF MEDICINE. GOOD TRY.

25 MS. LANSING: I'M GLAD YOU CORRECTED THAT.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: WHERE HE'S THE DIRECTOR OF
2 THE UCLA NEUROLOGICAL REHABILITATION AND RESEARCH
3 PROGRAM AND CO-DIRECTOR OF THEIR STROKE CENTER.
4 HE'S BEEN INVOLVED IN LOTS OF CLINICAL TRIALS IN
5 NEUROLOGICAL DISEASE AND ACTUALLY HAS BEEN PART OF A
6 GROUP THAT'S ADDRESSED THE ISSUE OF THE DESIGN OF
7 CLINICAL TRIALS IN SPINAL CORD INJURY.

8 HE IS EDITOR IN CHIEF OF NEURAL
9 REHABILITATION AND NEURAL REPAIR, AND HE'S BEEN ALSO
10 A BASIC SCIENTIST WHO'S BEEN FUNDED CONTINUOUSLY BY
11 NIH.

12 SO I'VE ASKED BRUCE TO SORT OF REALLY GIVE
13 US A PRIMER ABOUT CLINICAL TRIALS. AND BECAUSE SOME
14 OF US ON THE PANEL ARE EXPERTS AND OTHERS OF US ARE
15 NOT, BRUCE IS REALLY GOING TO SORT OF MAKE SURE WE
16 GET THE FUNDAMENTALS. AND WE'RE GOING TO TRY AND
17 HAVE ENOUGH TIME TO ASK QUESTIONS OF HIM IN THE
18 FORTHCOMING PANEL. SO, BRUCE, THANKS VERY MUCH FOR
19 COMING, AND WE'RE GOING LOOKING FORWARD TO YOUR
20 TALK.

21 DR. DOBKIN: I'VE BEEN ASKED TO ADDRESS
22 THE QUESTION OF WHY DO HUMAN STEM CELL APPLICATIONS
23 REQUIRE RANDOMIZED CLINICAL TRIALS. I MEAN AFTER
24 ALL THEY'RE CELLS. THEY OUGHT TO WORK. AND SO YOU
25 JUST POP THEM IN AND YOU GET WHATEVER OUTCOME YOU'RE

BARRISTERS' REPORTING SERVICE

1 LOOKING FOR. AND I'M GOING TO GIVE YOU THE
2 UNDERSIDE, THE DARK SIDE OF WHAT HAPPENS WHEN YOU
3 DON'T DO RANDOMIZED CLINICAL TRIALS. AND EVEN IN
4 THE COURSE OF THIS QUESTION, THE ETHICS OF THE WAY
5 PRESENT RESEARCH IN STEM CELL PRECLINICAL AND
6 TRANSLATIONAL STUDIES ARE GOING ON.

7 I THINK THAT WHEN WE'RE FINISHED, YOU WILL
8 HAVE AN AMAZING AMOUNT OF COCKTAIL PARTY
9 CONVERSATIONAL TALK BECAUSE I'M GOING TO SHOW YOU
10 SOME REALLY AWFUL STUFF. I'VE HAD THE GOOD FORTUNE
11 TO BE ABLE TO DEVELOP SIX OR EIGHT RANDOMIZED
12 CLINICAL TRIALS IN NEUROPROTECTION AND IN
13 PREVENTION, BOTH IN STROKE AND SPINAL CORD INJURY
14 AND IN RECOVERY OF SPINAL CORD INJURY. AND THE PAST
15 TWO YEARS I WAS ON A LEAVE OF ABSENCE TO DEVELOP
16 WHAT WAS CALLED THE ADELSON MEDICAL RESEARCH
17 FOUNDATION, WHICH WAS DESIGNED AROUND THE NOTION
18 THAT RESEARCH -- THAT THERE'S BEEN NO RESEARCH ON
19 HOW TO DO RESEARCH AND THAT WE DON'T REALLY KNOW THE
20 BEST WAY TO GO ABOUT SOLVING PROBLEMS DOING EITHER
21 WITHIN OUR PRECLINICAL STUDIES OR IN OUR
22 TRANSLATIONAL STUDIES.

23 AND SO ONE OF THE IDEAS WAS TO CREATE A
24 MODEL FOR CARRYING OUT RESEARCH IN A WAY THAT IT
25 COULD BE MEASURED, THE OUTCOMES COULD BE MEASURED,

BARRISTERS' REPORTING SERVICE

1 AND THAT APPROACH WAS A COLLABORATIVE MODEL. AND
2 MUCH OF IT CENTERED ON NEURAL REPAIR. AND SO I'LL
3 BE REFERRING TO THAT A LITTLE BIT.

4 SO A PATIENT CAME TO ME A COUPLE OF YEARS
5 AGO AND SAT DOWN. AND I SAID, "WHAT CAN I HELP YOU
6 WITH?" HE HAD HAD A STROKE. AND HE SAID, "DOC, I
7 NEED THOSE STEM CELLS." I WAS A LITTLE TAKEN BACK,
8 BUT I'VE HAD MANY CONVERSATIONS WITH PATIENTS WHO
9 ARE DESPERATE, DISABLED, OR DYING. THEIR HOPE IS
10 WANING. AND THIS GUY WANTED ME TO GIVE HIM WHAT THE
11 MEDIA AND WHAT THE PEOPLE WHO SELL STEM CELLS
12 OFFSHORE SAY IS READY FOR PRIME TIME. AND SO THE
13 IDEA THAT HE HAD WAS AND THAT MANY PEOPLE HAVE,
14 ESPECIALLY IN THE SPINAL CORD INJURY COMMUNITY, IS
15 THAT THERE'S SOME SORT OF CONSPIRACY IN THE MEDICAL
16 ESTABLISHMENT TO WITHHOLD STEM CELL TREATMENTS.

17 SO WHERE DOES THE MEDICAL ESTABLISHMENT
18 STAND ON THIS? WELL, I THINK WE ALL BELIEVE AND
19 HOPE THAT STEM CELLS AND MORE DIFFERENTIATED CELLS
20 WILL HAVE A NICHE IN THE NEAR FUTURE. WE'RE GOING
21 TO NEED THE MULTICENTER RANDOMIZED CLINICAL TRIALS
22 FIRST RATHER THAN PEOPLE JUST SHOWING UP ON OUR
23 DOORSTEP AND ASKING FOR THEM STEM CELLS.

24 AND THE OTHER THING IS WHEN YOU TALK ABOUT
25 NEUROLOGICAL DISEASES, WE HAVE TO KEEP IN MIND THAT

BARRISTERS' REPORTING SERVICE

1 THE BRAIN IS CONSTANTLY WIRED AND SYNAPSED TO LEARN.
2 IT'S VERY DIFFERENT THAN ANY OTHER ORGAN. I COULD
3 PROBABLY GET MOST OF YOU, EXCEPT MAYBE IF IT WAS A
4 CARDIOLOGIST HERE, TO EVEN THINK THAT THE BRAIN WAS
5 THE MOST IMPORTANT ORGAN IN THE HUMAN BODY. YOU
6 KNOW, IT MAKES US WHAT WE ARE. AND SO WE HAVE TO
7 ASK OURSELVES ARE STEM CELLS REALLY THE ANSWER OR
8 THE ONLY OPTION FOR PATIENTS, AND THERE ARE MANY,
9 MANY OTHER OPTIONS, INCLUDING OTHER BIOLOGICAL
10 OPTIONS, THAT WE WANT TO BEAR IN MIND AS WE THINK
11 ABOUT WHO SHOULD GET STEM CELLS FOR NEUROLOGICAL
12 DISEASES.

13 AND THEN I'LL ASK THE QUESTION WHETHER OUR
14 RESEARCH IS REALLY MAXIMIZING IN AN ETHICAL AND
15 TRANSPARENT AND MOST PRODUCTIVE WAY THE PATH TO
16 USING STEM CELLS FOR NEUROLOGICAL DISEASES. I'LL
17 TRY TO COVER THAT AND MAYBE ANSWER THIS QUESTION TO
18 MY PATIENT AS TO WHY I CAN'T GIVE HIM THEM STEM
19 CELLS.

20 SO IF WE THINK ABOUT REPAIRING THE NERVOUS
21 SYSTEM, WHETHER YOU HAVE A STROKE AND YOU'RE
22 HEMIPLEGIC OR YOU HAD A SPINAL CORD INJURY AND
23 YOU'RE PARAPLEGIC, OR YOU HAVE A DEGENERATIVE
24 DISEASE LIKE PARKINSON'S OR ALZHEIMER'S, OR YOU HAVE
25 MULTIPLE SCLEROSIS THAT FLUCTUATES OVER TIME,

BARRISTERS' REPORTING SERVICE

1 DISEASES THAT PROGRESS, DISEASES THAT ARE STATIC,
2 DISEASES THAT VARY, THERE ARE A NUMBER OF WAYS TO
3 THINK ABOUT WHAT WE MIGHT PROVIDE IN TERMS OF
4 BIOLOGICAL ACTIVATION. BUT ONE WOULD BE TO REPLACE
5 CELLS. AND THAT COULD BE CELLS THAT ARE PUT INTO A
6 NETWORK THAT REPLACE CELLS IN THAT NETWORK. THEY
7 COULD BE CELLS THAT SECRETE SOMETHING THAT PRODUCE A
8 TROPHIC FACTOR THAT ENLIVENS THE CELLS AROUND IT.
9 THEY COULD PRODUCE NEUROTRANSMITTERS THAT SEND
10 MESSAGES BETWEEN CELLS. AND SOME OF THEM COULD HAVE
11 BIOLOGICAL EFFECTS AND DIFFERENTIATE INTO CELLS THAT
12 ARE NEEDED LIKE NEURONS OR OLIGODENDROCYTES, THE
13 CELLS THAT PUT MYELIN AROUND CELLS AND ALLOW THEM TO
14 CONDUCT THEIR IMPULSES.

15 BUT MAYBE MORE IMPORTANT WILL BE TO TRY TO
16 REGROW AXONS, THE OUTCROPPINGS OF THE NERVE CELLS
17 THAT CARRY MESSAGES FROM ONE CELL TO ANOTHER, AND
18 THE DENDRITES THAT ARE LIKE BRANCHES OF A TREE OFF
19 THOSE AXONS AND WHERE ALL OF THE LEARNING AND MEMORY
20 IS DONE IN THE NERVOUS SYSTEM.

21 AND THEN FINALLY, WHAT IS ESPECIALLY
22 DIFFERENT ABOUT THE NERVOUS SYSTEM WHEN YOU THINK
23 ABOUT BIOLOGICAL INTERVENTIONS BOTH FOR DESIGNING
24 STEM CELL STUDIES AS WELL AS FOR JUST HELPING
25 PATIENTS IS THAT THE BRAIN IS A LEARNING MACHINE.

BARRISTERS' REPORTING SERVICE

1 AND SO UNLIKE MOST OTHER ORGANS, IT'S DESIGNED TO
2 GAIN SKILLS. IT'S DESIGNED TO REMEMBER WHAT WE DID.
3 AND THAT REQUIRES TRAINING. AND TRAINING ALTERS
4 CIRCUITRY. WHILE THERE IS A LOT OF HARD WIRING IN
5 THE NERVOUS SYSTEM, THERE'S A TREMENDOUS AMOUNT OF
6 ADAPTABILITY AND SO-CALLED PLASTICITY WITHIN THE
7 NERVOUS SYSTEM.

8 SO INDIVIDUAL CIRCUITS, GROUPS OF CIRCUITS
9 THAT FORM NETWORKS, ALL OF WHICH REPRESENT
10 BEHAVIORS, CAN BE CHANGED REMARKABLY AND RATHER
11 QUICKLY BY SIMPLY HEARING SOMETHING. I MAY TELL YOU
12 SOMETHING TODAY THAT CONNECTS WITH OTHER MESSAGES
13 THAT YOU'VE HEARD IN THE PAST, WITH THINGS YOU KNOW
14 ABOUT, WITH THINGS YOU'D LIKE TO KNOW MORE ABOUT,
15 WITH SOMEBODY THAT YOU KNOW WHO HAS SOME PROBLEM
16 LIKE THIS, AND YOU WILL CONSTANTLY BE MASSAGING THAT
17 INFORMATION INTO NEW LEARNING, HOPEFULLY SOMETHING
18 THAT I SAY WILL ACTUALLY BE REMEMBERED.

19 SO I NEED TO JUST QUICKLY SHOW YOU SOME
20 EXAMPLES OF THIS WITHOUT GETTING TOO COMPLICATED
21 ABOUT WHAT IT'S ALL ABOUT. BUT THIS IS -- LET'S
22 PRETEND THIS IS THE BRAIN OF A RAT SITTING OVER A
23 PARTICULAR AREA CALLED THE PRIMARY MOTOR CORTEX THAT
24 REPRESENTS MOVEMENTS FOR THE RAT'S FORELIMB. AND
25 THE RAT WILL REACH FOR THINGS AND GRAB FOOD AND PUT

BARRISTERS' REPORTING SERVICE

1 IT IN ITS MOUTH WITH THAT FORELIMB, WALKS ON IT.
2 AND THIS IS THE ROSTRAL AND THE CAUDAL FORELIMB
3 AREA. SO THAT IF I TOOK A LITTLE ELECTRODE, TINY,
4 TINY ELECTRODE, PUT IT OVER THE SURFACE OF THE
5 BRAIN, I COULD DO LITTLE STIMULATIONS AND CAUSE
6 MOVEMENTS IN PARTS OF THE ANIMAL'S FOREPAW AND LEG
7 AND TRUNK, DEPENDING ON WHERE I STIMULATED.

8 SO THIS AREA HAVING BEEN STIMULATED
9 REPRESENTS MOVEMENTS FOR THE ELBOW, SHOULDER, AND
10 FOREPAW, WRIST AND SORT OF CLAW OR PAW OF THE RAT.
11 OVER HERE MAKE A SMALL LITTLE STROKE IN THE TISSUE
12 AND DESTROY THAT TISSUE, AND NOW THE RAT CAN'T USE
13 ITS FOREPAW VERY WELL. BUT OVER TIME AND TRAINING,
14 AREAS THAT HAD NOT REPRESENTED THE MOVEMENT OF THAT
15 FOREPAW THAT MAYBE REPRESENTED MOVEMENTS OF THE
16 SHOULDER TAKE OVER SOME OF THOSE MOVEMENTS OF THE
17 FOREPAW. OTHER AREAS COME IN AND INVADE THAT
18 REGION, AND THE ANIMAL RECOVERS MUCH OF ITS
19 FUNCTION. SO EVEN THOUGH WE PUT A HOLE, LITERALLY
20 DESTROYED THE NEURONS THAT REPRESENTED THOSE
21 MOVEMENTS WHEN WE STIMULATED THEM, OTHER NEURONS
22 MUST HAVE CONTRIBUTED TO THAT MOVEMENT AS WELL, AND
23 THEY'RE ABLE TO PARTICIPATE BY SENDING AXONS DOWN
24 INTO THE SPINAL CORD IN THAT MOVEMENT.

25 IF WE REMOVE ONE NEUROTRANSMITTER CALLED

BARRISTERS' REPORTING SERVICE

1 ACETYLCHOLINE FROM ITS ABILITY TO BE RELEASED IN
2 THAT AREA, IT TURNS OUT THAT THIS AREA DOESN'T
3 EXPAND. IT SORT OF STAYS ABOUT THE SAME AND THE
4 ANIMAL DOESN'T IMPROVE AS MUCH. AND IF YOU LOOK AT
5 PERCENT RECOVERY, OVER HERE WE CAN SEE THE ANIMAL
6 MAKES A LARGE RECOVERY, ABOUT 60 PERCENT. WHEN IT
7 PRACTICES AFTER ITS INJURY, IF IT HAS ACETYLCHOLINE
8 AVAILABLE, BUT IT DOESN'T RECOVER EVEN WITH PRACTICE
9 IF IT DOESN'T HAVE THE ACETYLCHOLINE AVAILABLE. AND
10 IF IT DOESN'T PRACTICE, IT DOESN'T RECOVER.

11 SO ALL OF THESE THINGS ARE GOING TO GO
12 INTO ANY NOTION OF TRYING TO DRIVE STEM CELLS TO
13 HAVE A PLACE IN LEADING TO IMPROVEMENT OVER TIME IN
14 PATIENTS. LEARNING AND PRACTICE CAN DRIVE
15 PLASTICITY, AND A NUMBER OF NEUROTRANSMITTERS,
16 CHEMICAL TRANSMITTERS, PLAY AN IMPORTANT ROLE IN
17 UNDERLYING THAT PLASTICITY AND CREATING MOLECULAR
18 CHANGES THAT LEAD TO LEARNING.

19 HERE'S AN EXAMPLE IN A CHILD. THIS CHILD
20 HAD HALF ITS BRAIN REMOVED AT THE AGE OF SIX FOR
21 WHAT'S CALLED RASMUSSEN'S ENCEPHALITIS, SO IT HAD
22 EPILEPSY. HE HAD EPILEPSY, AND THE ONLY WAY TO STOP
23 IT WAS REMOVE THIS PART OF THE BRAIN. OVER HERE WE
24 SEE A FUNCTIONAL MRI SCAN THAT SHOWS THE
25 ACTIVATION -- WHAT PARTS OF THE BRAIN ARE ACTIVATED

BARRISTERS' REPORTING SERVICE

1 WHEN THE FOOT MOVES A LITTLE BIT. WHEN THE CHILD
2 MOVES THE WEAK ANKLE, WHICH IS IN SORT ORANGE RED
3 HERE, WE SEE THE PART OF THE BRAIN THAT'S ACTIVATED.
4 IT'S KIND OF OUTSIDE THE NORMAL FOOT AREA.

5 BUT THIS SIDE OF THE BRAIN, THE SAME SIDE
6 AS THE FOOT, IS CONTROLLING THAT FOOT. IN FACT,
7 THIS SIDE SOME OF THE BRAIN IS CONTROLLING BOTH
8 LEGS. SO THIS CHILD WALKS FINE, TALKS FINE, HAS
9 TROUBLE USING HIS HAND AND LITTLE TROUBLE USING THE
10 FOOT. THIS IS NOW FOUR YEARS LATER. SO YOU WOULD
11 THINK THAT THE MAXIMUM AMOUNT OF LEARNING THAT THIS
12 CHILD COULD HAVE TO RECOVER FUNCTION MUST HAVE TAKEN
13 PLACE.

14 BUT WE THEN TOOK A GROUP OF CHILDREN AND
15 TRAINED THEM TO RUN ON A TREADMILL, KICK A BALL, DO
16 A VARIETY OF THINGS TO SEE IF WE COULD DRIVE THIS
17 ADAPTABILITY EVEN MORE. AND LO AND BEHOLD, NOW WHEN
18 THE CHILD, AFTER JUST A COUPLE WEEKS OF TRAINING,
19 MOVES THE LEFT FOOT, WHICH IS NORMALLY CONTROLLED BY
20 THE RIGHT SIDE OF THE BRAIN, MOVES THE LEFT FOOT,
21 YOU SEE THIS RED AREA HERE. WHEN IT MOVES THE RIGHT
22 FOOT, YOU SEE THE YELLOW AREA, AND THEN IN THE
23 ORANGE HERE YOU SEE THE OVERLAP. THESE NEURONAL
24 ASSEMBLIES CONTROL BOTH SIDES OF THE BODY. AND WE
25 WERE ABLE TO INCREASE THE PLASTICITY, THE

BARRISTERS' REPORTING SERVICE

1 ADAPTABILITY IN THAT SIMPLY BY PRACTICE.

2 NOW, IF YOU PUT STEM CELLS INTO THIS PART
3 OF THE BRAIN AND HOPE THAT YOU WOULD GET BETTER
4 MOVEMENT, YOU COULDN'T POSSIBLY GET A BETTER RESULT
5 THAN SIMPLY DOING WHAT WE ALL DO WHEN WE WANT TO
6 LEARN A SKILL. WE PRACTICE IT.

7 SO WE HAVE TO KEEP THAT IN MIND AS WE
8 THINK ABOUT BOTH APPLICATIONS FOR STEM CELLS AND HOW
9 WE'RE GOING TO DRIVE THOSE STEM CELLS TO ACTUALLY
10 PRODUCE SOME FUNCTION.

11 THIS IS JUST SOMETHING TO GIVE YOU A SENSE
12 OF WHAT UNDERLIES SOME FORMS OF PLASTICITY. SO THIS
13 IS THE CENTRAL CANAL OF THE SPINAL CORD. OH, AND
14 THIS SIDE OF THE SPINAL CORD, THIS IS IN A RAT --
15 I'M SORRY -- THIS IS IN A MONKEY. THE SPINAL CORD
16 WAS TRANSECTED SO THAT THERE IS NO -- SO THAT THAT
17 SIDE OF THE BODY IS PARALYZED. ANIMAL CAN'T USE ITS
18 LEG. IT RECOVERS OVER TIME. THIS IS THE NORMAL
19 SIDE. THERE IS AXONS THAT ARE COMING DOWN FROM THE
20 BRAIN FROM THIS SIDE OF THE BRAIN, CROSSING OVER,
21 COMING DOWN THE SPINAL CORD, AND ENTERING INTO THE
22 NERVE CELLS OF THE SPINAL CORD. AND THEN AFTER THE
23 INJURY, THEY START CROSSING TO THE OTHER SIDE OF THE
24 SPINAL CORD THAT LOST ITS INPUT FROM THE OTHER SIDE
25 OF THE BRAIN SO THAT SPONTANEOUSLY AXONS ARE

BARRISTERS' REPORTING SERVICE

1 REGENERATING WITHIN THE SPINAL CORD TO ALLOW
2 FUNCTIONAL USE OF THAT LEG.

3 NOW, IF WE WANTED TO USE STEM CELLS TO GET
4 THIS RESULT BY IMPLANTING THEM IN THE ORIGINAL
5 INJURY, WE'D BE HARD-PRESSED TO GET AS MUCH
6 REGENERATION AS WE'RE GETTING SPONTANEOUSLY, AND
7 THERE ARE WAYS TO MANIPULATE THAT. SO AGAIN, AXONS
8 CAN REGENERATE. WE DIDN'T KNOW UNTIL RECENTLY. SO
9 IF WE WERE TO LOOK AT A SPINAL CORD INJURY MODEL,
10 AND THIS IS A CARTOON, HERE'S THE BRAIN, HERE'S DOWN
11 IN THE SPINAL CORD AT A SPOT WHERE MAYBE WE HAD AN
12 INJURY. HERE'S DOWN BELOW THAT PART OF THE SPINAL
13 CORD THAT NORMALLY WOULD HAVE GOTTEN INFORMATION
14 FROM THE BRAIN TO MOVE, SAY, THE LEGS OR THE HAND.
15 WE MIGHT SEE THAT THERE ARE A VARIETY OF WAYS TO
16 ENHANCE RECOVERY OF FUNCTIONS. SOME HAVE TO DO WITH
17 JUST OPTIMIZING USE OF RESIDUAL PATHWAYS, SPARED
18 PATHWAYS COMING FROM THE BRAIN DOWN THE SPINAL CORD
19 OF WHICH THERE ARE MANY THAT COULD BE PRESERVED.

20 ANOTHER IS THAT WE CAN INCORPORATE SPROUTS
21 OF AXONS THAT OCCUR WITH TRAINING. WE CAN GET
22 TRAINING-INDUCED CHANGES. WE CAN ALSO USE CELLS TO
23 DO THAT, AND WE CAN ALSO USE VARIOUS MOLECULES TO
24 TRY TO REGENERATE AXONS ACROSS THAT AREA. BUT WHAT
25 I'M GETTING AT IS THERE ARE A DOZEN OR MORE POSSIBLE

BARRISTERS' REPORTING SERVICE

1 WAYS TO LEAD TO IMPROVED FUNCTION AFTER SPINAL CORD
2 INJURY THAT MAY NOT INVOLVE CELLS AT ALL, BUT FOR
3 WHICH SOME CELLS COULD HELP.

4 I'M GOING TO GET BACK TO THAT GERON STUDY
5 TOO BECAUSE I KNOW A FAIR AMOUNT ABOUT THAT. AND IF
6 WE HAD A STROKE MODEL, WE'D SEE THAT IF WE PUT STEM
7 CELLS IN THE HOLE OF A STROKE, WE MIGHT GET SOME
8 MOTOR RECOVERY, BUT WE CAN ALSO GET SOME MOTOR
9 RECOVERY BY DERIVING REGENERATION OF AXONS AND
10 RETRAINING THE SPARED PATHWAYS ON THE AFFECTED SIDE
11 OF THE BRAIN.

12 SO WHAT MIGHT WE EXPECT FROM ENDOGENOUS
13 STEM CELLS THAT ARE DERIVED FROM WITHIN THE NERVOUS
14 SYSTEM ITSELF, FOR WHICH THERE ARE MANY EXAMPLES OF
15 THIS NOW WHERE OUR OWN BODIES ARE CONSTANTLY
16 PRODUCING STEM CELLS AND AFTER INJURY PRODUCING STEM
17 CELLS THAT MIGRATE AND SEEM TO INCORPORATE IN SOME
18 PARTS OF THE BRAIN, OR EXOGENOUSLY IMPLANTED STEM
19 CELLS THAT WOULD ALLOW US TO PROVIDE SUBSTANCE.

20 SO WHAT DO WE WANT THOSE CELLS TO DO?
21 WELL, WE MIGHT WANT TO REPLACE SOME LOST OR POORLY
22 FUNCTIONING NEURONS THAT ARE WITHIN A SMALL REGION
23 OF THE BRAIN. SO WE JUST HAVE TO GET THEM INTO A
24 SMALL SPOT, FOR EXAMPLE, IN PARKINSON'S DISEASE
25 WHERE YOU TRY TO REPLACE DOPAMINERGIC NEURONS OR IN

BARRISTERS' REPORTING SERVICE

1 ALS WHERE YOU MIGHT TRY TO REPLACE MOTOR NEURONS OR
2 IN A SPINAL CORD INJURY WHERE YOU MIGHT TRY TO FILL
3 A GAP AND ALLOW AXONS TO CROSS IT BY LINKING UP WITH
4 CELLS. YOU MAY WANT TO REPLACE A NEUROTRANSMITTER
5 LOCALLY, AND THERE'S ACTUALLY NOW A HUMAN CLINICAL
6 TRIAL GOING ON WHERE FIBROBLASTS HAVE BEEN
7 GENETICALLY MODIFIED TO SECRETE ACETYLCHOLINE AND
8 IMPLANT IN THE BRAINS OF PEOPLE WITH ALZHEIMER'S
9 DISEASE TO REPLACE THE ACETYLCHOLINE THAT'S MISSING
10 BECAUSE CELLS THAT PRODUCE THAT ACETYLCHOLINE ARE
11 DYING RATHER QUICKLY. IT'S A GREAT REPLACEMENT
12 STRATEGY.

13 A BIT OF A PROBLEM IS THAT ALZHEIMER'S
14 DISEASE INVOLVES FAR MORE THAN JUST THAT PARTICULAR
15 PATHWAY; AND EVENTUALLY, EVEN IF THIS WORKED FOR A
16 SHORT TIME AND MOLLIFIED THE DISEASE, IT CERTAINLY
17 WOULDN'T CURE ANYBODY, BUT IT MIGHT GIVE PEOPLE SOME
18 EXTRA YEARS OF QUALITY OF LIFE.

19 WE MAY WANT TO STRENGTHEN A NETWORK. WE
20 CAN USE CELLS TO PRODUCE GROWTH SUBSTANCES AND
21 SUBSTANCES THAT ABET LEARNING AND SKILLS LEARNING.
22 WE MIGHT WANT TO PROTECT AREAS OF THE BRAIN AND
23 AUGMENT MOLECULES THAT ALSO ARE INVOLVED IN
24 PLASTICITY, AND THIS HAS BEEN DONE WITH BONE MARROW
25 STROMAL CELLS INJECTED IN THE INFARCT AREA OF PEOPLE

BARRISTERS' REPORTING SERVICE

1 WITH STROKE.

2 WE MIGHT ALTER SIGNALS TO PROMOTE
3 REGENERATION AND HAVE THE CELLS ACTUALLY MAKE
4 SUBSTANCES THAT WE KNOW TURN ON GROWTH SIGNALS
5 WITHIN AXONS AND HAVE THEM REGENERATE. WE CAN BRING
6 A GAP, AS I TALKED ABOUT, BY PUTTING CELLS INTO A
7 HOLE AN EMBRYONIC TISSUE, AND EMBRYONIC STEM CELLS
8 HAVE BEEN USED FOR THIS ALONG WITH MANMADE FIBERS.
9 AND WE MAY WANT TO REPLACE SOMETHING THAT'S MISSING
10 LIKE A CHILD THAT'S BORN WITHOUT ANY MYELIN. WE MAY
11 WANT TO USE A PARTICULAR KIND OF CELL LIKE
12 OLIGODENDROCYTES THAT MAKE MYELIN IN CERTAIN
13 DISEASES. I'LL TALK ABOUT PELIZAEUS-MERZBACHER
14 DISEASE, ALL OF WHICH WE'LL BECOME EXPERTS IN.

15 SO THE QUESTION IS ARE THERE ANY
16 DIFFERENCES BETWEEN THESE MOUSE AND RAT BRAINS THAT
17 ALL THIS PRECLINICAL WORK IS DONE ON AND HUMANS?
18 AND HERE'S AN EXAMPLE. THAT IS A MOUSE BRAIN AND
19 SPINAL CORD. THAT'S A RAT BRAIN AND SPINAL CORD.
20 THIS IS ONE SLICE TAKEN FROM FRONT TO BACK OF A
21 HUMAN BRAIN. THAT MOUSE BRAIN IS ONE-THOUSANDTH THE
22 VOLUME OF THE HUMAN BRAIN. AND SO THERE'S NO WAY TO
23 REALLY BE ABLE TO -- WE'VE JUST GOT TO SAY THERE IS
24 DIFFERENCES BETWEEN MICE AND MEN. AND I THINK THIS
25 IS A GOOD VISUALIZATION OF CERTAINLY SPACE AND

BARRISTERS' REPORTING SERVICE

1 DISTANCE. DIFFERENCES IN INJECTING CELLS FOR
2 GROWING AXONS IN A MOUSE OR A RAT IS A VERY
3 DIFFERENT PROPOSAL THAN IN HUMANS.

4 SO IF YOU WANT AXONS TO GROW FOR SPINAL
5 CORED INJURY, THEY NEED TO REGENERATE JUST TO GO
6 FROM ONE LEVEL TO THE NEXT BY ABOUT 2 TO 3
7 CENTIMETERS. THAT RAT BRAIN IS LESS THAN 2
8 CENTIMETERS.

9 AND SO IT'S VERY EASY -- HERE'S KIND OF A
10 LIST OF PROBLEMS THAT COME ABOUT WITH ANIMAL MODELS.
11 AND IN A SENSE A LOT OF PRECLINICAL STUDIES,
12 CERTAINLY IN NEUROLOGICAL DISEASES, ARE DONE IN
13 ANIMAL MODELS, AND THE RESULTS ARE REALLY UNCERTAIN.
14 AND THIS IS BECAUSE THE ANIMAL MODELS USE A
15 PARTICULAR SINGLE KIND OF RAT OR MOUSE, A PARTICULAR
16 STRAIN OR TRANSGENIC MOUSE. THE RATS AND MICE LIVE
17 A COMPLETELY DIFFERENT LIFE THAN THEY NORMALLY DO.
18 I HAVE A COUPLE MICE IN MY BASEMENT. I CANNOT CATCH
19 THEM. THEY'RE REALLY SMART. A LABORATORY RAT, IT'S
20 FEARLESS. IT'S ECOLOGICALLY DISABLED. IT HAS NO
21 IDEA HOW TO BEHAVE LIKE A REAL MOUSE TO FEND FOR
22 ITSELF, TO FIND FOOD. IT'S NEVER LEARNING ANYTHING
23 UNLESS AN EXPERIMENTER COMES ALONG AND TRYING TO
24 TEACH IT SOMETHING.

25 AND SO THAT CHANGES THE BRAIN. IT CHANGES

BARRISTERS' REPORTING SERVICE

1 THE GENETICS OF THE BRAIN WHEN YOU'RE ISOLATED LIKE
2 THAT. AND SO THE REAL QUESTION IS WHETHER THOSE
3 MODELS OF INJURY AND REPAIR IN RODENTS ARE REALLY
4 SIMILAR ENOUGH TO WHAT HAPPENS IN HUMAN DISEASE TO
5 HELP US UNDERSTAND THAT.

6 WHEN YOU LOOK AT ANIMAL MODELS, THE DOSE
7 AND TIMING AND WHERE YOU INJECT CELLS CAN BE VERY
8 DIFFERENT THAN WHAT YOU COULD POSSIBLY DO UNDER THE
9 HUMAN CONDITION. AND SO MANY OF THE STUDIES THAT
10 WILL BE DONE FOR NEURAL REPAIR AND FOR USE OF CELLS
11 IS GOING TO BE DONE -- THEY SORT OF WORKED IN THE
12 ANIMAL MODEL, BUT YOU COULDN'T POSSIBLY SET THE SAME
13 EXPERIMENT UP IN A HUMAN. AND SO YOU'RE LIKELY TO
14 FAIL.

15 ALSO, MOST ANIMAL STUDIES AREN'T REALLY
16 DONE AS RANDOMIZED CLINICAL TRIALS. YOU KNOW, IF
17 THE MOUSE OR RODENT DIES IN SURGERY, YOU THROW IT
18 AWAY. YOU CAN'T DO THAT WITH PEOPLE. IT'S MESSY.
19 YOU CAN'T -- EVERYBODY COUNTS. IF THE ANIMAL -- IF
20 SOME OF THE ANIMALS DON'T GET INJURED AFTER
21 YOU -- DON'T SEEM TO HAVE MUCH OF AN INJURY AFTER
22 YOU TIE OFF A BLOOD VESSEL OR BOP THEM ON THE HEAD,
23 YOU DON'T USE THEM. WHAT YOU'RE TRYING TO DO IS GET
24 THE PERFECT INJURY. AND YOU CREATE THESE SORT OF
25 STRANGE BOOKENDS. YOU CREATE A MODEL SO THAT

BARRISTERS' REPORTING SERVICE

1 THERE' S SOMETHING WRONG ENOUGH WITH IT THAT YOU KEEP
2 DOING IT OVER AND OVER AGAIN UNTIL YOU GET A MODEL
3 THAT' S JUST RIGHT, NOT TOO BAD, NOT TOO GOOD. AND
4 IT RESPONDS TO SOMETHING YOU' RE DOING AND HAS A
5 BEHAVIOR YOU CAN MEASURE. AND SO WE JUST DON' T DO
6 THAT WITH PEOPLE.

7 NOW, YOU LOOK AT BIOLOGICAL PROCESSES.
8 THAT' S VERY VALUABLE TO UNDERSTAND HOW DOES THE
9 BRAIN WORK? HOW DO MOLECULES WORK? BUT IN A
10 RANDOMIZED CLINICAL TRIAL IN HUMANS, YOU HAVE ALL
11 COMERS. YOU HAVE PEOPLE WHO ARE VERY DIVERSE IN
12 THEIR DI SEASES. EVEN IF THEY' RE THE SAME AGE AND
13 SEX AND THE SAME LENGTH OF DURATION OF THEIR
14 DI SEASE, THEIR NERVOUS SYSTEMS WILL ALWAYS BE
15 DIFFERENT AND THEIR GENES WILL BE DIFFERENT. THE
16 DRUGS THAT THEY' RE TAKING WILL BE DIFFERENT.

17 ANOTHER THING IS THAT IN MOST ANIMAL
18 STUDIES, THE ANIMALS AREN' T TRAINED TO DO ANYTHING.
19 YOU JUST GIVE THEM SOMETHING AND THEN DO AN OUTCOME
20 MEASURE. IN HUMAN STUDIES I' M GOING TO SHOW YOU WE
21 ARE GOING TO HAVE TO TRAIN PEOPLE TO MAKE USE OF
22 THOSE CELLS, OR THEY' RE NOT GOING TO DO ANYTHING.
23 THEY' RE JUST GOING TO SIT THERE. THEY HAVE TO BE
24 BECOME PART OF THE LEARNING MACHINERY, AND WE HAVE
25 TO FIGURE OUT HOW TO DO THAT.

BARRISTERS' REPORTING SERVICE

1 AND ONE OF MY GREATEST PET PEEVES IS THAT
2 TO DATE THE THREE OR FOUR HUMAN STUDIES USING STEM
3 CELLS OR USING PRECURSORS OF OLIGODENDROCYTES OR
4 NEURONS HAVE ALL BEEN TESTED IN A SINGLE ANIMAL
5 MODEL, IN A SINGLE LABORATORY, BY A SINGLE
6 INVESTIGATOR, AND THEN BACKED BY VENTURE
7 CAPITALISTS, BY A PHARMA COMPANY. AND SO NO ONE HAS
8 TRIED TO REPLICATE WHAT'S BEEN DONE. IN FACT, WHEN
9 YOU LOOK ACROSS NEURAL REPAIR STUDIES OR NEURAL
10 PROTECTION STUDIES, THEY'RE NOT REPLICABLE. WHAT
11 WORKS IN ONE LAB TENDS NOT TO WORK IN ANOTHER. IT'S
12 ASTOUNDING. I MEAN THESE ARE THE MOST PERFECT
13 SET-UP EXPERIMENTS. I JUST EXPLAINED HOW WE GET
14 DOWN TO THIS FINE LITTLE PROBLEM THAT THEY HAVE THAT
15 WE CAN TREAT, AND YET IN THE EAST COAST YOU CAN'T
16 REPLICATE WHAT YOU DID ON THE WEST COAST.

17 BUT DRUG COMPANIES WILL KEEP GOING AHEAD
18 FOR REASONS OF INTELLECTUAL PROPERTY RIGHTS AND
19 PROTECTION AND SECRECY WILL NOT LET OTHER PEOPLE USE
20 THOSE CELLS TO SEE IF THEY CAN REPRODUCE WHAT'S
21 DONE. SO WE ARE IN THE POSITION OF POTENTIALLY
22 GIVING PEOPLE LIKE GERON CELLS, CELLS THAT NO ONE
23 HAS EVERY TESTED OUTSIDE OF GERON AND ITS
24 LABORATORY. AND ONLY THE FDA KNOWS WHAT THE RESULTS
25 OF THOSE STUDIES WERE.

BARRISTERS' REPORTING SERVICE

1 WHAT ARE SOME OF THE POTENTIAL
2 COMPLICATIONS OF CELLULAR INTERVENTIONS, THEN, FOR
3 PEOPLE? THESE ARE THINGS THAT HAVE HAPPENED IN
4 ANIMALS. THEY'RE CERTAINLY LIKELY TO HAPPEN IN
5 PEOPLE. SO ONE IS MALADAPTIVE PLASTICITY. WE MAY
6 INDUCE PAIN. WE MAY INDUCE SEIZURES. WE'VE ALREADY
7 INDUCED MOVEMENT DISORDERS IN PARKINSON'S DISEASE.
8 WE MAY INDUCE HYPERTONICITY AND SPASMS, SPASTICITY
9 AND ODD MOVEMENT DISORDERS, AND WE MAY DEVELOP
10 AUTONOMIC DYSREFLEXIA IN SPINAL CORD INJURY PATIENTS
11 WHERE THEIR BLOOD PRESSURE FLIES ALL OVER THE PLACE
12 BECAUSE WE'RE MESSING AROUND WITH A SYSTEM THAT
13 TRIES TO GET INTO EQUILIBRIUM, AND NOW WE'RE GOING
14 TO DO THINGS THAT EXCITE OR INHIBIT PATHWAYS, AND WE
15 DON'T REALLY KNOW WHAT THEY ARE. WE CAN ONLY LOOK
16 AT SOME OUTCOME MEASURE THAT'S A BEHAVIOR. WE DON'T
17 HAVE ANY BIOLOGICAL MEASURES OF THAT.

18 WORST THING THAT COULD HAPPEN IS THAT
19 WE'LL MAKE THEIR PHYSICAL OR COGNITIVE IMPAIRMENTS
20 OR THEIR DISABILITIES RELATED TO THAT EVEN WORSE.
21 WE'LL PUT A HOLE IN THE NERVOUS SYSTEM THAT WILL
22 CAUSE INFLAMMATION, OR WE'LL MAKE THE CELLS -- THE
23 CELLS WILL MAKE SOMETHING THAT ACTUALLY DOES HARM TO
24 NEIGHBORING CELLS.

25 THE IMMEDIATE RISKS HAVE TO DO WITH

BARRISTERS' REPORTING SERVICE

1 REJECTION OF THE CELLS. AND MOST OF THE STUDIES
2 THAT ARE GOING INTO PLAY RIGHT NOW PROVIDE
3 IMMUNOTHERAPY TO TRY TO HAVE THE CELLS SURVIVE. I'M
4 GOING TO TELL YOU ABOUT A CELL STUDY IN CHINA WHERE
5 FIVE OUT OF SEVEN OF THE PATIENTS WHO GOT CELLS INTO
6 THEIR SPINAL CORD DEVELOPED MENINGOENCEPHALITIS AND
7 OTHER COMPLICATIONS. THAT'S AN INFLAMMATION THAT'S
8 LIFE-THREATENING. IMMUNE RESPONSES, LOCAL
9 INFECTIONS, AND GROWTH OF TUMORS. THERE NOW HAVE
10 BEEN SEVERAL TUMORS REPORTED IN DIFFERENT PATIENTS
11 WHO GOT STEM CELLS AND PRECURSOR CELLS. AND THEN
12 PUTTING VIRUSES IN THERE, MAYBE HEPATITIS, MAYBE
13 AIDS, MAYBE SOMETHING ELSE.

14 AND THEN ANOTHER IMPORTANT THING IS THAT
15 WHEN YOU PUT CELLS INTO A NEURAL ENVIRONMENT, THEY
16 TAKE CUES FROM THEIR SURROUNDS. CELLS TALK TO EACH
17 OTHER CONSTANTLY, BATHING EACH OTHER WITH MESSAGES,
18 NEUROTRANSMITTERS, VARIOUS KINDS OF NEUROTROPHIC
19 FACTORS SIGNALING MOLECULES. THEY ARE NOT ISOLATED.
20 THOSE CELLS CAN POTENTIALLY BE CHANGED BY THEIR
21 ENVIRONMENT.

22 NOW, YOU MAY WANT THEM TO BE CHANGED INTO
23 SOMETHING THAT'S USEFUL, BUT THEY MAY ALSO BE
24 CHANGED INTO SOMETHING THAT CAN DO HARM. AND THAT
25 HAS TO DO WITH GENETICALLY MODIFYING THE CELLS AND

BARRISTERS' REPORTING SERVICE

1 WHAT'S CALLED EPIGENETICS, WHICH LEADS TO CHANGES IN
2 THE EXPRESSION OF CERTAIN GENES.

3 AND THEN, FINALLY, WHEN WE LOOK AT THE
4 LIMITATIONS OF ANIMAL MODELS, ONE OF THE THINGS WE
5 CAN SAY IS THAT WE DO NOT GAIN A WHOLE LOT OF
6 INSIGHT ABOUT SAFETY OR EFFICACY FROM RODENT MODELS
7 RELEVANT TO HUMANS. AND SO WE'RE GOING TO GO INTO
8 CLINICAL TRIALS VERY CAREFULLY. OBVIOUSLY IF YOU
9 GET A BIG TUMOR IN AN ANIMAL MODEL, YOU'RE NOT GOING
10 TO USE THAT CELL LINE. BUT MOST OF THE TIME YOU'RE
11 NOT GOING TO SEE HAPPEN IN THE ANIMALS THAT COULD
12 EASILY HAPPEN IN PEOPLE.

13 SO WHY SHOULD WE DO RANDOMIZED CLINICAL
14 TRIALS? WE'RE ALREADY HAVING ENOUGH TROUBLE DOING
15 THEM IN ANIMAL MODELS. NOW WE'RE GOING TO TRY TO DO
16 THEM IN PEOPLE. WELL, MOST IMPORTANT IS THAT PEOPLE
17 FLUCTUATE. PEOPLE WITH NEUROLOGICAL DISEASES
18 FLUCTUATE. I'VE TAKEN CARE OF THOUSANDS OF PEOPLE
19 WITH STROKE AND SPINAL CORD INJURY AND DEGENERATIVE
20 DISEASES AND MULTIPLE SCLEROSIS, AND EVEN FROM ONE
21 TIME TO THE NEXT THAT I SEE THEM, EVEN WITHIN THE
22 COURSE OF THE DAY, THERE MAY BE DIFFERENCES IN THEIR
23 STRENGTH, THEIR MOBILITY, THEIR ABILITY TO DO
24 SELF-CARE TASKS. YOU KNOW, IT'S NOT, YOU KNOW, A
25 HUGE DIFFERENCE, BUT IT'S WIDE ENOUGH THAT IT'S

BARRISTERS' REPORTING SERVICE

1 WITHIN THE NOISE LEVEL OF SOME OF OUR POTENTIAL
2 INTERVENTIONS. AND SO WE'VE GOT TO KNOW THAT THE
3 CHANGE THE PATIENTS HAVE IS WELL OUTSIDE THAT
4 DAY-TO-DAY NOISE FLUCTUATION. WE CAN'T MEASURE
5 BLOOD SUGARS. WE CAN'T MEASURE THE SIZE OF TUMOR.
6 WE CAN'T MEASURE MANY OF THE THINGS THAT HAVE
7 CHEMICAL OR OTHER BIOLOGICAL MARKERS OR IMAGING
8 MARKERS IN OTHER DISEASES. IN THE NERVOUS SYSTEM WE
9 CAN ONLY LOOK AT BEHAVIOR.

10 ANOTHER REASON TO DO RANDOMIZED CLINICAL
11 TRIALS IS THAT OUR MINDS ARE WIRED TO HOPE AND TO
12 BELIEVE, AND WE ALL WANT TO THINK THAT WE ARE GOING
13 TO FIGHT OFF THIS DISEASE AND WE'RE GOING TO DO
14 ANYTHING WE CAN TO GET BETTER. THAT'S THE WAY WE'RE
15 MADE UP. IT'S A SURVIVAL INSTINCT. YOU CAN
16 DEMONSTRATE IT ON FUNCTIONAL IMAGING STUDIES, PEOPLE
17 WHO ARE EXPRESSING HOPE OR BEING IN A HOPELESS
18 SITUATION, YOU CAN SEE WHAT AREAS OF THE BRAIN ARE
19 ACTIVATED. THAT'S WHAT WE'RE LIKE. AND SO IF WE
20 DON'T DO RANDOMIZED CLINICAL TRIALS, WE'RE GOING TO
21 HAVE PEOPLE PICKING OUT THAT FLUCTUATION AND SAYING,
22 BOY, THAT GIVES ME HOPE. I THINK I MIGHT GET
23 BETTER. THIS WORKED. I BELIEVE THAT WHATEVER YOU
24 DID TO ME WHEN YOU GAVE ME CELLS REALLY WORKED. WE
25 NEED SOME OTHER THING, SOME OTHER MEASURE OF THAT.

BARRISTERS' REPORTING SERVICE

1 THERE ARE A LOT OF FALSE ALTERNATIVES TO
2 RANDOMIZED CLINICAL TRIALS. I'M GOING TO TALK A
3 LITTLE BIT MORE ABOUT THE SPECIFICS OF THE TRIALS IN
4 A MINUTE, BUT THE FALSE ALTERNATIVES TO DOING
5 RANDOMIZED CLINICAL TRIALS, AND LET ME JUST DEFINE
6 WHAT I MEAN BY THAT. RANDOMIZED CLINICAL TRIAL FOR
7 STEM CELL STUDIES WOULD MEAN THAT YOU HAD MULTIPLE
8 SITES THAT USED A CELLULAR INTERVENTION, YOU COMBINE
9 IT WITH SOME KIND OF PHYSICAL OR COGNITIVE THERAPY
10 DEPENDING ON WHAT YOUR END POINT WAS, YOU GAVE SOME
11 PEOPLE THE EXPERIMENTAL CELLS AND OTHER PEOPLE CELLS
12 OR SIMILAR SUBSTANCE THAT WOULD SERVE AS A PLACEBO,
13 AND YOU WOULD INJECT THESE THINGS IN BOTH SUBJECTS.
14 YOU WOULD TRAIN THEM AND YOU WOULD LOOK FOR OUTCOME
15 MEASURES THAT WERE RELEVANT TO THAT AIM OF YOUR
16 INTERVENTION.

17 NOW, YOU MIGHT NOT NECESSARILY HAVE TO
18 INJECT CELLS. WE CAN TALK ABOUT THAT IN THE
19 QUESTIONS. BUT YOU HAVE TO DO SOMETHING SO THAT THE
20 PATIENT AND THE PEOPLE AROUND THAT PATIENT, THE
21 CLINICIANS AND THE PEOPLE MEASURING OUTCOMES CANNOT
22 TELL WHO GOT THE CELLS AND WHO DIDN'T. SO ONE OF
23 THE FALSE ALTERNATIVES TO THAT RANDOMIZED KIND OF
24 TRIAL IS THAT GIVE ME ANYTHING. SOMETHING IS ALWAYS
25 BETTER THAN NOTHING. ANY OF YOU WHO ARE PHYSICIANS

BARRISTERS' REPORTING SERVICE

1 KNOW THAT SOMETHING ISN'T ALWAYS BETTER THAN NOTHING
2 AND OFTEN LEADS TO A LOT OF PROBLEMS.

3 ANOTHER IS THE EXCUSE. THE EXCUSE THAT
4 PLACEBOS ARE NOT ETHICAL OR IN YOUR SITUATION, LIKE
5 LIVING IN CHINA, THEY'RE NOT POSSIBLE OR THAT YOU
6 CAN'T FUND IN A LOT OF COUNTRIES PLACEBO CONTROLLED
7 TRIALS. THIS IS A COMMON EXCUSE USED IN AFRICA,
8 ASIA, AND SOUTH AMERICA, NO LONGER WORKS IN EUROPE.

9 HUBRIS, THE GUY GIVING THE CELLS KNOWS
10 THEY WORK, SO WHY BOTHER USING A PLACEBO OR DOING A
11 RANDOMIZED TRIAL. YOU KNOW THEY WORK, SO USE THEM.
12 I'LL GIVE YOU SOME REALLY COOL EXAMPLES OF HUBRIS.
13 HISTORICAL CONTROL, SO YOU HAVE A DISEASE IN WHICH
14 YOU KNOW THAT MOST PEOPLE GET WORSE OVER TIME. SO
15 NOW YOU'RE GOING TO GIVE CELLS AND USE THAT HISTORY
16 OF WHAT HAPPENED TO OTHER PEOPLE TO SEE WHETHER OR
17 NOT THEY GOT WORSE. YOU'RE NEW GROUP GOT WORSE WHEN
18 IT GOT THE CELLS. WELL, YOU'RE NOT DOING A
19 RANDOMIZED CONTROLLED TRIAL THERE. YOU'RE NOT
20 MATCHING PATIENTS FOR ALL THE THINGS THAT GO INTO
21 CREATING NOISE.

22 WE DID A STUDY USING AN INTERVENTION TO
23 ENHANCE WALKING TO TRY TO GET MORE PATIENTS WALKING
24 AFTER SPINAL CORD INJURY WHO HAD INITIALLY PROFOUND
25 SPINAL CORD INJURIES. WE LOOKED AT HISTORICAL

BARRISTERS' REPORTING SERVICE

1 CONTROLS, AND EVERY TRIAL THAT WAS EVER DONE SHOWED
2 THAT ONLY 20 PERCENT OF THE PATIENTS WHO WERE LIKE
3 OUR SUBJECTS RECOVERED THE ABILITY TO WALK. SO WE
4 POWERED THE STUDY TO TRY TO GET TO 35 PERCENT OF
5 PEOPLE WALKING. WHEN WE FINISHED OUR STUDY, IT
6 TURNED OUT THAT THE PATIENTS WHO GOT THE
7 EXPERIMENTAL INTERVENTION AND THE PATIENTS WHO DID
8 NOT GET THE EXPERIMENTAL INTERVENTION WERE THE SAME,
9 AND THAT 85 PERCENT OF THEM RECOVERED THE ABILITY TO
10 WALK. IF WE HAD USED HISTORICAL CONTROLS AND JUST
11 USED OUR INTERVENTION AND DIDN'T HAVE OUR OWN
12 CONTROL GROUP, WE WOULD HAVE SAID, WOW, 85 PERCENT
13 OF OUR PEOPLE WALK, AND THE LITERATURE SAYS ONLY 25
14 PERCENT WALK. THIS IS THE GREATEST THING SINCE
15 APPLE PIE. LET'S START SPENDING HUNDREDS OF
16 MILLIONS OF DOLLARS SETTING UP THE EQUIPMENT TO DO
17 THIS STUDY.

18 WELL, IT TURNED OUT THAT THE HISTORICAL
19 CONTROLS WERE WRONG, AND THAT HAD A LOT TO DO WITH
20 THE WAY THEY WERE CAPTURED AND THE WAY THEY WERE
21 STUDIED AND LACK OF CARE IN DOING SOMETHING THAT YOU
22 DO VERY DIFFERENTLY WHEN YOU DO A RANDOMIZED CONTROL
23 TRIAL. YOU REALLY PAY ATTENTION TO DETAILS. AND
24 THAT DOESN'T HAPPEN OUTSIDE OF RANDOMIZED CONTROL
25 TRIALS.

BARRISTERS' REPORTING SERVICE

1 ANOTHER NOTION IS WE' LL USE CHRONICALLY
2 IMPAIRED PATIENTS. SO LET' S SAY YOU HAD A STROKE,
3 YOU CAN' T USE YOUR ARM, THREE MONTHS HAS GONE BY,
4 YOU STILL CAN' T USE YOUR ARM, AND ODDS ARE YOU' RE
5 NOT GOING TO BE ABLE TO USE YOUR ARM. SO WE' LL NOW
6 GIVE OUR INTERVENTION TO THOSE CHRONICALLY IMPAIRED
7 PATIENTS. AND IF THEY GIVE THEM THE CELLS AND THEY
8 CAN USE THEIR ARM SOME, THEN THEY MUST WORK BECAUSE
9 THIS WAS CHRONIC AND THEY WERE STABLE. WELL, THE
10 REALITY IS THAT CHRONIC DOESN' T MEAN STABLE. PEOPLE
11 FLUCTUATE ALL THE TIME. AND I CAN TAKE ANY PATIENT
12 WHO HAS TROUBLE WALKING FIVE YEARS AFTER A STROKE
13 AND INCREASE THEIR WALKING SPEED 20 OR 25 PERCENT IN
14 ABOUT THREE HOURS OF TRAINING. YOU CAN DO THAT WITH
15 ALMOST ANYTHING BECAUSE IF THERE' S ENOUGH SPARE
16 PATHWAY, IT' S TRAINABLE. YOU CAN TEACH A SKILL.

17 ANOTHER NOTION IS THAT YOU WILL EXCEED THE
18 MINIMAL DETECTABLE DIFFERENCE OR MINIMAL CLINICALLY
19 IMPORTANT DIFFERENCE FOR THE OUTCOME MEASURE. WHAT
20 THAT MEANS IS THAT YOU HAVE AN OUTCOME MEASURE, AND
21 IT HAS A CERTAIN AMOUNT OF NOISE IN IT WHEN YOU LOOK
22 AT A PARTICULAR POPULATION. BUT IF YOU EXCEED THAT
23 NOISE LEVEL OF HOW PEOPLE AGREE ON IS THERE A CHANGE
24 IN THAT MEASURE, IS THE MEASURE IMPROVING OR NOT,
25 THAT' S GOOD ENOUGH. AND IT TURNS OUT THAT' S ANOTHER

BARRISTERS' REPORTING SERVICE

1 FALSE PROPHET AND THAT MINIMALLY DETECTABLE
2 DIFFERENCES ARE JUST STATISTICAL METHODS. AND WHILE
3 THEY'RE USED A LOT IN EARLY TRIALS IN PHASE I, PHASE
4 II TRIALS, IT REALLY IS OF NO VALUE.

5 SO WHAT'S THE BIGGEST CONSEQUENCES OF THE
6 FAILURE TO DESIGN A RIGOROUS RANDOMIZED CLINICAL
7 TRIAL? WELL, TO ME THE MOST IMPORTANT THING IS THAT
8 YOU DON'T GENERATE ANY KNOWLEDGE FOR THE RISKS THAT
9 ARE TAKEN. YOU JUST DON'T REALLY LEARN ANYTHING.
10 YOU DON'T KNOW WHETHER IT REALLY WORKS OR NOT. IF
11 YOU SAY IT WORKS, WELL, COMPARED TO WHAT? WHAT DOES
12 THIS MEAN THAT IT WORKS? WHAT REALLY HAPPENED? WHO
13 DOES IT WORK FOR? WHAT DOES IT WORK FOR?

14 I'LL SHOW YOU SOME EXAMPLES IN A MINUTE OF
15 PEOPLE WHO SELL STEM CELLS FOR ANYTHING BECAUSE THEY
16 BELIEVE THAT THEY WORK COMPARED TO NOTHING. WE CAN
17 ONLY WEIGH THE RISKS AND BENEFITS OF INTERVENTIONS
18 IF WE DO RANDOMIZED CONTROLLED TRIALS, AND WE CAN
19 ONLY IMPROVE ON OUR INTERVENTIONS. SO LET'S SAY WE
20 GET SOMETHING AND WE THINK IT WORKS A LITTLE BIT,
21 BUT IT'S NOT THAT MUCH BETTER, BUT IT'S A LITTLE
22 BETTER, IT'S HOPEFUL. HOW ARE WE GOING TO GO TO THE
23 NEXT STEP TO IMPROVE BEYOND THAT UNLESS WE DEFINE
24 WHAT WE'RE DOING VERY CAREFULLY AND CREATE
25 RANDOMIZED CLINICAL TRIALS SO THAT PATIENTS CAN BE

BARRISTERS' REPORTING SERVICE

1 COMPARED AND CAN BE STUDIED WITH EVERYTHING MORE OR
2 LESS THE SAME ABOUT THEM EXCEPT FOR THE
3 INTERVENTION?

4 MOST IMPORTANT IS THIS SLIPPERY SLOPE OF
5 SLOPPY SCIENCE AND SALES. SO YOU TRY TO SAY THAT
6 QUICKLY. PEOPLE THAT DON'T DO RANDOMIZED CLINICAL
7 TRIALS AND JUST SET UP, FOR EXAMPLE, TO GET A
8 TREATMENT JUST START TO GET SLOPPIER AND SLOPPIER IN
9 WHAT WHAT'S ACCEPTABLE, THEIR OUTCOME MEASURES
10 BECOME WORSE AND WORSE. I MEAN PRETTY SOON YOU JUST
11 HAVE NO IDEA WHAT'S GOING ON, WHAT THEY'RE DOING.

12 SO HERE'S SOME GUIDING PRINCIPLES FOR
13 CELLULAR RANDOMIZED CLINICAL TRIALS. FIRST OF ALL,
14 THEY'RE VERY ARDUOUS, THEY'RE VERY EXPENSIVE, AND
15 THE PEOPLE INVOLVED IN THEM HAVE TO DELAY
16 GRATIFICATION BECAUSE THEY'RE GOING FOR A LONG TIME.
17 TRIALS WITH CELLULAR INTERVENTIONS ARE GOING TO GO
18 ON FOR AT LEAST A YEAR AFTER PATIENTS GET THEM, AND
19 THEY'RE GOING TO HAVE TO BE MONITORED FOR YEARS TO
20 COME. AND SO WE NEED SOME WAY IN WHICH THE
21 INVESTIGATORS, THE SUBJECTS, PEERS WHO ARE BASIC,
22 AND CLINICAL EXPERTS WHO UNDERSTAND WHAT'S GOING ON
23 WITH THOSE CELLS. IRB'S, FDA, THEY ALL NEED TO BE
24 CONVINCED THAT THAT RANDOMIZED CLINICAL TRIAL IS
25 LIKELY TO PROVIDE KNOWLEDGE AND POSSIBLY IMPROVE

BARRISTERS' REPORTING SERVICE

1 HEALTH OUTCOMES.

2 THE WAY OUR SYSTEM WORKS RIGHT NOW, NONE
3 OF THAT IS THE CASE. BASICALLY DEVELOP A CELL LINE,
4 ISOLATE IT IN AN ISOLATED LABORATORY, YOU FIND A USE
5 FOR IT, YOU GO TO THE FDA, YOU TRY TO CONVINCED THEM
6 THEY CAN USE IT, THAT THIS WILL BE SAFE, AND YOU TRY
7 TO PROVE SOME EFFICACY WITH IT. AND NO ONE ELSE IS
8 INVOLVED IN THAT DECISION-MAKING EXCEPT THE PEOPLE
9 PUTTING THAT BEFORE THE FDA. NO OTHER PEERS, NO
10 OTHER REAL EXPERTS HAVE BEEN INVOLVED IN THE
11 EXPERIMENTS TO SEE JUST HOW ROBUST THAT CELLULAR
12 INTERVENTION MIGHT BE.

13 ONE OF THE DIFFERENCES BETWEEN
14 NEUROLOGICAL INTERVENTION WITH CELLS WILL BE THAT
15 WHEN YOU DESIGN THEM, THERE OUGHT TO BE A PHASE-IN
16 BEFORE YOU RANDOMIZE PATIENTS OF SOME KIND OF
17 TASK-RELATED THERAPY, AND THEN YOU GIVE THAT THERAPY
18 UNTIL NO FURTHER GAINS ARE MADE. YOU GOT TO RING
19 OUT THE FLUCTUATIONS THAT PATIENTS HAVE. AND SO ONE
20 WAY TO DO THAT, WHICH IS NEVER DONE IN DRUG STUDIES,
21 AND THAT'S WHY ALL DRUG STUDIES HAVE FAILED, I
22 THINK, IT'S ONE OF THE REASONS IN NEUROLOGICAL
23 DISEASES, YOU'VE GOT TO -- LET'S SAY YOU WANT A
24 PATIENT TO BE ABLE TO USE THE HAND BETTER AND TO BE
25 ABLE TO REACH AND PINCH OR YOU WANT A PATIENT TO BE

BARRISTERS' REPORTING SERVICE

1 ABLE TO WALK OR YOU WANT A PATIENT TO BE ABLE TO
2 RECOVER LANGUAGE FUNCTION. YOU'VE GOT TO PROVIDE
3 SOME THERAPIES THAT MAXIMIZE WHAT IT IS THEY CAN DO
4 AND SORT OF GET THEM TO AN EVEN LEVEL AS BEST YOU
5 CAN BEFORE YOU RANDOMIZE THEM. OTHERWISE WHAT
6 HAPPENS IN EVERY TRIAL, WHETHER IT'S ROBOTICS,
7 DRUGS, NEUROPROTECTION, WHATEVER IT IS THAT YOU
8 START OUT, AND THERE ARE OUTLIERS, AND SOME PEOPLE
9 JUST GET BETTER. AND IT DOESN'T MATTER WHAT YOU DO.
10 AND THE REASON IS THAT THEY HAVE LATENT CAPACITY TO
11 DO BETTER, AND THEY'RE JUST NOT USING IT. IT'S
12 CALLED NONUSE.

13 YOU KNOW, YOUR GRANDMOTHERS SAY USE IT OR
14 LOSE IT. IT'S REALLY THE CASE. I MEAN KOBE BRYANT,
15 IF HE DOESN'T THROW A THOUSAND SHOTS BEFORE A GAME,
16 HE'S REALLY OFF TO A BAD GAME. HE'LL TELL YOU THAT.
17 HERE'S THE GUY WHO IS THE GREATEST BASKETBALL PLAYER
18 IN THE WORLD. HE'S GOT TO GO OUT AND PRACTICE AND
19 EVERY SEVEN SECONDS SHOOTS THE BALL. IT'S VERY EASY
20 FOR YOUR SKILLS TO DISSOLVE. AND IF YOU CAN'T USE
21 YOUR RIGHT ARM WELL, YOU MAY JUST COMPENSATE WITH
22 YOUR LEFT ARM AND STOP USING IT. NOW YOU'RE GOING
23 TO SUDDENLY PUT SOMEBODY IN A CELL TRIAL TO TRY TO
24 HELP THEM RECOVER THEIR ARM, AND THEY HAVEN'T EVEN
25 TRIED TO USE IT IN YEARS. IT'S NOT FAIR. YOU'RE

BARRISTERS' REPORTING SERVICE

1 GOING TO LOSE. YOU'RE NOT GOING TO SHOW EFFICACY
2 UNLESS YOU TRY TO GET THAT PATIENT USING THAT ARM
3 FOR A WHILE, GET THEM STABLE TO SEE WHAT THEY CAN
4 DO, AND THEN USE YOUR CELLULAR INTERVENTION.

5 WHEN YOU GIVE THE CELLULAR INTERVENTION,
6 WHOEVER GETS THE CELLS, WHOEVER GET THE PLACEBO IS
7 ALSO GOING TO HAVE TO PRACTICE, AND YOU ARE GOING TO
8 HAVE TO PRACTICE DOING WHATEVER IT IS THAT'S
9 RELEVANT TO THE OUTCOMES THAT YOU'RE SEEKING SO THAT
10 OUR CLINICAL TRIALS CAN BE DESIGNED AROUND VERY
11 SPECIFIC OUTCOMES THAT ARE PERSONAL TO PATIENTS,
12 VALUED BY PATIENTS AND MEASURABLE. THE PRACTICE
13 ITSELF, LIKE I SAID, IS GOING TO INDUCE ADAPTATIONS
14 AND PLASTICITY AND IMPROVE SKILLS.

15 IN THIS CASE WHAT WE HAVE TO DO IS WE
16 CANNOT COMPARE AN INTERVENTION, A CELLULAR
17 INTERVENTION, TO USUAL CARE. IT DOESN'T COUNT. THE
18 REASON IS THAT USUAL CARE MEANS NOTHING. SO WE HAVE
19 DONE TRIALS, I'VE BEEN ON SAFETY COMMITTEES OF
20 TRIALS THAT WERE SET UP IN WHICH YOU DID A VIGOROUS
21 THERAPY VERSUS USUAL CARE. WHAT HAPPENED IN USUAL
22 CARE? PATIENTS MIGHT TRY TO GET A LITTLE BIT OF
23 THERAPY TO IMPROVE THEIR WALKING; WHEREAS, THE
24 PATIENTS IN THE STUDY GOT, YOU KNOW, 12 WEEKS OF
25 CONSTANT THERAPY AIMED AT WALKING AND WENT FROM NOT

BARRISTERS' REPORTING SERVICE

1 BEING ABLE TO WALK SO WELL TO WALKING. THE PEOPLE
2 WHO DIDN'T GET THE WALKING THERAPY AND GOT USUAL
3 CARE DIDN'T IMPROVE MUCH. SO WHAT HAVE YOU PROVED?
4 SOMETHING IS BETTER THAN NOTHING.

5 BUT IF YOU HAD DONE -- IF YOU REALLY HAD
6 AN EXPERIMENTAL THERAPY WHICH WERE ONE INVOLVED
7 GIVING CELLS TO TRY TO DRIVE, SAY, WALKING, YOU'D
8 WANT TO HAVE BOTH GROUPS GET A LOT OF THERAPY THAT
9 TRIED TO MAXIMIZE THAT RECOVERY FOR WALKING. AND SO
10 YOU WOULD GIVE THEM BOTH WALKING THERAPIES AS WELL
11 AS THE CELLS. THIS IS EVEN TRUE IF YOU STUDIED
12 ALZHEIMER'S OR PARKINSON'S. THERE OUGHT TO BE A
13 THERAPY ASSOCIATED WITH IT AIMED AT IMPROVING
14 WHATEVER IT IS, BALANCE, COORDINATION, LEARNING,
15 MEMORY. YOU'RE PUTTING IN CELLS, YOU'RE HOPING THEY
16 WILL DRIVE PLASTICITY. WELL, YOU'VE GOT TO GIVE
17 THEM SOMETHING TO DO. YOU GOT TO TRAIN THEM. YOU
18 GOT TO TAKE ADVANTAGE OF THAT NEW NERVOUS SYSTEM
19 THAT YOU'VE CREATED.

20 THE OUTCOME MEASURES, BERNIE ASKED ME TO
21 MENTION. THE OUTCOME MEASURES HAVE TO BE RELEVANT
22 TO THE INTERVENTION. SO IT DOESN'T MAKE SENSE TO
23 TAKE SOMEONE WITH A SPINAL CORD INJURY, TRY TO PUT
24 IN CELLS, REGENERATE AN AXON PAST THE LESION, TO GO
25 INTO THE SPINAL CORD TO NOW GO BACK AND MAKE THE

BARRISTERS' REPORTING SERVICE

1 MUSCLES OF THE WRIST AND HAND MOVE, AND THEN GO AND
2 SAY MY OUTCOME MEASURE IS WHETHER THE PATIENT DID
3 HIS SELF-CARE BETTER BECAUSE YOU MAY NOT NEED THAT
4 HAND TO DO YOUR SELF-CARE. WHAT YOU NEED IS AN
5 OUTCOME MEASURE THAT'S SPECIFIC TO THE MOVEMENT OF
6 THAT HAND. IT HAS TO BE VALUED BY THE PATIENT, IT
7 HAS TO REDUCE SOME IMPAIRMENT OR DISABILITY.

8 MOST CLINICAL TRIALS ARE DONE WITH REALLY
9 BIG SAMPLE SIZES. I THINK THAT CELLULAR THERAPIES
10 NEED TO BE VERY ROBUST BECAUSE OF ALL THE POTENTIAL
11 PROBLEMS AROUND THEM. AND TO ME A ROBUST EFFECT
12 SIZE, MEANING HOW MANY PEOPLE DO YOU HAVE TO TREAT
13 TO GET A GOOD OUTCOME, IS THAT AN EFFECT SIZE OF
14 AROUND .4 TO .6. AN EFFECT SIZE OF .4 MEANS THAT IF
15 YOU SET UP THE TRIAL, YOU NEED NO MORE THAN ABOUT 50
16 SUBJECTS IN EACH ARM OF THE TRIAL, PLACEBO AND THE
17 CONTROL GROUP. AND FOR CERTAIN THINGS LIKE SPINAL
18 CORD INJURY WHERE YOU WOULD TRY TO REGENERATE JUST A
19 SHORT DISTANCE TO GET BELOW THE LESION AND RECOVER
20 ONE OR TWO MUSCLE GROUPS, YOU COULD PROBABLY GET BY
21 WITH A DECENT TRIAL OF AS FEW AS 20 SUBJECTS IN EACH
22 ARM.

23 SO LET ME GIVE YOU SOME EXAMPLES --

24 CHAIRMAN LO: BRUCE, I'M GOING TO CUT IN
25 FOR A MINUTE. ASK YOU TO START WINDING DOWN BECAUSE

BARRISTERS' REPORTING SERVICE

1 I WANT TO MAKE SURE THAT WE HAVE TIME TO ASK YOU
2 QUESTIONS. SO I KNOW YOU HAVE A LOT, BUT I'D LIKE
3 YOU TO TRY AND WIND DOWN.

4 DR. DOBKIN: YOU WANT TO TAKE QUESTIONS?

5 CHAIRMAN LO: MAYBE YOU COULD SORT OF
6 CONCLUDE AND THEN OPEN IT UP FOR QUESTIONS BECAUSE I
7 THINK THERE MAY BE PEOPLE ASKING A LOT. HOW MANY
8 MORE SLIDES DO YOU HAVE?

9 DR. DOBKIN: I WAS GOING TO SHOW YOU SOME
10 EXAMPLES OF TRANSPLANTS THAT HAVE BEEN DONE AND
11 THE -- SO THIS ARTICLE, I THINK, IS IN YOUR HANDOUT.
12 AND LET ME GIVE YOU -- THESE ARE SEVEN PATIENTS WHO
13 HAVE SPINAL CORD PROBLEMS, WENT TO CHINA, THE
14 SURGEON ALLOWED US TO EXAMINE THEM. AND LET ME GIVE
15 YOU A REASON WHY YOU NEED RANDOMIZED CLINICAL
16 TRIALS. THIS SURGEON WOULD NOT DO RANDOMIZED
17 CLINICAL TRIALS. HE SAID IT WASN'T ETHICAL. SO
18 PATIENTS CAME BACK TO US, AND SUPPOSEDLY THEY WERE
19 GOING TO HAVE CELLS INJECTED INTO THEIR SPINAL CORD.
20 ONE OF THE PATIENTS CAME BACK WITH TWO HOLES IN THE
21 FRONTAL LOBE AND THEY PUT THE CELLS IN THE FRONTAL
22 LOBES FIGURING THAT THEY COULD THEN SOMEHOW GET DOWN
23 INTO THE SPINAL CORD. THAT'S WHAT HAPPENED WHEN YOU
24 DON'T HAVE A PROTOCOL AND A RANDOMIZED CLINICAL
25 TRIAL. YOU JUST MAKE IT UP AS YOU GO ALONG.

BARRISTERS' REPORTING SERVICE

1 THE SURGEON GOT OUT OF BED, THE CHINESE
2 NEUROSURGEON GOT OUT OF BED ONE DAY AND MUST HAVE
3 SAID TO HIMSELF, WOW, YOU KNOW, MAYBE THE CELLS WILL
4 MIGRATE. SO I'LL STICK THEM IN THE HEAD.

5 ANOTHER PATIENT HAD ONLY BLADDER PROBLEMS
6 AND A LITTLE TROUBLE WALKING, BUT SHE COULD WALK.
7 SHE COULD EMPTY HER BLADDER, BUT SHE WASN'T HAPPY
8 WITH IT. HE PUT CELLS INTO HER SPINAL CORD. HE
9 COULD HAVE COMPLETELY LEFT HER PARAPLEGIC. BIZARRE.

10 DR. HUANG IN RESPONDING TO AN E-MAIL, HIS
11 OUTCOME MEASURES WERE E-MAIL NOTES FROM PATIENTS
12 BECAUSE HE NEVER FOLLOWS UP ANY PATIENTS. HE'S DONE
13 A THOUSAND PEOPLE'S SPINAL CORDS, INJECTED CELLS
14 INTO THEIR SPINAL CORDS. THEY'RE CELLS DERIVED FROM
15 EMBRYONIC TISSUE, ABORTED EMBRYONIC TISSUE. HE'S
16 USED THIS FOR ALS. HE'S USED THIS FOR AT LEAST A
17 HALF DOZEN DISEASES.

18 AND I GOT AN E-MAIL FROM THIS PATIENT THAT
19 I HAD ACTUALLY TAKEN CARE OF FROM THE BEGINNING, AND
20 IT TURNS OUT THAT THE MOTHER WROTE AN E-MAIL TO ME
21 AND TO HUANG AND SAID THAT HER SON'S QUALITY OF LIFE
22 WAS SO MUCH BETTER ABOUT SIX MONTHS AFTER HE HAD
23 GONE TO CHINA TO GET THE CELLS. LATER IN THE NOTE
24 SHE MENTIONS THAT HE ISN'T DOING ANYTHING BETTER.
25 HE ISN'T MOVING ANY BETTER, BUT HE HAS A GIRLFRIEND

BARRISTERS' REPORTING SERVICE

1 AND HE FINALLY WENT BACK TO SCHOOL. AND THE QUALITY
2 OF HIS LIFE HAS IMPROVED TREMENDOUSLY.

3 HUANG READ IT AS THE CELLS IMPROVED THE
4 QUALITY OF LIFE. I READ IT AS IF I'M A 22-YEAR-OLD
5 WHO'S PARAPLEGIC AND I CAN HAVE A GIRLFRIEND AND GET
6 BACK TO SCHOOL, WHICH I WAS ALWAYS RIDING HIM ON
7 BECAUSE HE DROPPED OUT OF SCHOOL TO GO TO CHINA AND
8 GET BETTER. YOU KNOW, HE'S DOING GREAT. AND THIS
9 IS WHAT DR. HUANG SAID IN FRONT OF AN INTERNATIONAL
10 AUDIENCE OF RESEARCHERS IN CHINA FROM ALL OVER THE
11 WORLD WHO WERE STUDYING STEM CELLS. HE SAID THAT IF
12 I WERE HONEST AND FAIR IN FACE OF THE FACT THAT THE
13 PATIENT OBTAINED IMPROVEMENTS ACCORDING TO AN ASIA
14 STANDARD, WHICH HE DIDN'T, AND HIS QUALITY OF LIFE,
15 YOU SHOULD NOT DENY -- CONTINUE DENYING THE POSITIVE
16 RESULTS. SO TAKE THE OFFENSE.

17 BUT WHAT MAKES ONE REGRET, A LOT OF TYPING
18 ERRORS, IS THAT NO MATTER WHAT RECOVERED OR HOW THE
19 PATIENT'S NEUROLOGIC FUNCTION RECOVERED AND WHAT
20 HAPPENED IMPROVING HIS QUALITY OF LIFE, YOU KEEP
21 DENYING IT. I'M PART OF THE ESTABLISHMENT. FACING
22 THE FACT AND INSISTING ON ABSOLUTELY DENYING IT, I
23 DENIED THAT HE WAS ANY BETTER IN TERMS OF THE
24 EFFECTS OF THE CELLS. I WONDER IF YOU HATE TO SEE
25 PATIENTS WITH THIS DISEASE IMPROVING THE QUALITY OF

BARRISTERS' REPORTING SERVICE

1 LIFE OR YOUR PERSONALITY AND CREDITS HAVE SOME
2 PROBLEMS EXCEPT UNFAIR AND DISHONEST. AND YOU GET
3 THE GIST OF THIS.

4 SO I AM THE ONLY PERSON WHO'S BEEN CALLED
5 A LIAR IN BOTH *NATURE* AND IN *SCIENCE* JOURNALS BY
6 HUANG. TO ME IT'S A BADGE OF TRUTH. BUT THE KEY
7 HERE IS THAT THIS IS A TRUE BELIEVER, AND HE'S
8 GIVING OUT CELLS AND PEOPLE ARE LINING UP TO GET
9 THEM.

10 THIS IS ANOTHER COMPANY THAT GIVES CELLS.
11 LOOK AT ALL THESE THINGS THAT THE SAME STEM CELLS
12 WORK FOR. I MEAN IT'S INCONCEIVABLE. AND IF
13 YOU -- WHAT THEY DO IS THEY SCREEN YOU BY TAKING
14 WHAT YOU SEND THEM IN THE MAIL, YOU KNOW. I WANT TO
15 BE ABLE TO DO THIS AGAIN. I HAD THIS KIND OF
16 PROBLEM. SO THEY SCREEN YOU. AND IT SAYS HERE IF
17 OUR MEDICAL DEPARTMENT DOES NOT BELIEVE YOU WILL
18 BENEFIT FROM THE TREATMENT, WE WILL TELL YOU AND
19 SUGGEST YOU SEEK TREATMENT ELSEWHERE, BUT THEY DON'T
20 TURN ANYBODY AWAY. OF COURSE, WE BY NO MEANS
21 GUARANTEE IMPROVEMENT, BUT OUR TREATMENT CONSISTS OF
22 MULTIPLE INJECTIONS OF STEM CELLS ACCOMPANIED BY
23 DAILY REHABILITATION TO ENSURE THAT THE UMBILICAL
24 CORD STEM CELLS CAN HELP YOUR CONDITION. YOU WILL
25 GET SOME IMPROVEMENT.

BARRISTERS' REPORTING SERVICE

1 THESE FOLKS ALSO CALLED ME A LIAR, TAKING
2 SOMETHING OUT OF CONTEXT SAYING THERE WAS MUCH
3 EVIDENCE TO BACK UP THEIR THEORIES. AND THIS IS AN
4 AMERICAN VENTURE CAPITAL GROUP USING RUSSIAN CELLS,
5 CELLS THAT WERE ORIGINALLY DEVELOPED IN RUSSIA. AND
6 I CAN'T TELL YOU HOW MANY WELL-KNOWN VENTURE
7 CAPITALISTS HAVE INVESTED IN THIS COMPANY. THESE
8 ARE OFFSHORE COMPANIES GIVING CELLS IN MEXICO AND IN
9 THE CARIBBEAN. SO THERE'S A REAL NEED FOR THIS.
10 THERE'S A REAL ETHICAL ISSUE, I THINK, NOT ONLY
11 ABOUT THOSE PEOPLE, THE STEM CELL SELLERS, BUT ALSO
12 THINGS THAT ARE GOING ON RIGHT NOW. FOR EXAMPLE, WE
13 TALKED ABOUT GERON EARLIER AND ITS SPINAL CORD
14 PROJECT.

15 ONLY ONE LAB HAS PUBLISHED ANY DATA USING
16 THOSE CELLS IN EIGHT RODENTS. THAT'S ALL WE KNOW.
17 GERON HAS GIVEN THESE CELLS TO MANY OTHER RODENTS,
18 BUT NOT WITH PEER REVIEW. AND SO WE HAVE NO IDEA
19 WHAT THOSE CELLS MIGHT BE.

20 CHAIRMAN LO: BRUCE, I'M GOING TO ASK YOU
21 TO WIND UP SO WE HAVE A CHANCE TO ASK QUESTIONS IF
22 THAT'S OKAY. DO YOU WANT TO JUST GIVE US A QUICK
23 SUMMARY, AND THEN WE'LL BOMBARD YOU WITH QUESTIONS.

24 DR. DOBKIN: WHAT I WOULD SAY, I GUESS, TO
25 SUMMARIZE IS THAT WE HAVE -- WE OUGHT TO THINK OF

BARRISTERS' REPORTING SERVICE

1 CELLULAR NEURAL REPAIR INTERVENTIONS AS AUGMENTING
2 OUR REHABILITATION OR AUGMENTING OUR TRAINING. SO
3 WE NEED TO THINK ABOUT THE TRAINING AND THE OUTCOMES
4 AHEAD OF TIME AND TRY TO UNDERSTAND WHAT DO WE WANT
5 THOSE CELLS TO BE INVOLVED IN DOING.

6 ANOTHER IS THAT WE WANT TO HAVE THESE
7 REPAIR STRATEGIES, THEY'VE GOT TO BE CLEARLY DRAWN.
8 WE CAN'T LET PATIENTS DECIDE WHAT THEY WANT TO GET
9 BETTER. WE HAVE TO HAVE CLINICAL TRIALS THAT LOOK
10 AT SPECIFIC EFFECTS OF THAT CELLULAR STRATEGY AND OF
11 THE TASK-RELATED PRACTICE AND TRY TO LESSEN PEOPLE'S
12 IMPAIRMENTS AND DISABILITIES WITH OUR PRIMARY
13 OUTCOME MEASURES.

14 WE NEED TO TAKE INTO ACCOUNT THAT
15 ANECDOTES AND HISTORICAL CONTROLS AND QUASI
16 EXPERIMENTAL TRIALS AND FAITH ARE RATHER MISLEADING
17 WAYS TO DEVELOP EVIDENCE-BASED PRACTICE. YOU ALL
18 KNOW THAT, BUT IT'S AMAZING HOW MANY PATIENTS AND
19 PHYSICIANS DO NOT AGREE WITH THAT.

20 PROSPECTIVE BLINDED RANDOMIZED CLINICAL
21 TRIALS IN REALLY WELL-DEFINED SUBJECTS WHERE YOU
22 TALLY THE ADVERSE REACTIONS AND YOU LOOK AT
23 FUNCTIONALLY IMPORTANT OUTCOMES, YOU FOLLOW UP
24 PEOPLE ARE THE BEST WAY TO GO.

25 AND THEN ONE LAST POINT IS THAT I THINK

BARRISTERS' REPORTING SERVICE

1 THAT TOO MUCH IS DONE IN PRIVATE AND IN SECRECY, AND
2 I THINK THAT BY FUNDING COLLABORATIONS OF
3 SCIENTISTS, CLINICIANS, BASIC RESEARCHERS, AND
4 EXPERTS AND PROVIDING SOME INFRASTRUCTURE FOR THEM
5 TO COMMUNICATE WITH, SHARE THE RESEARCH OBJECTIVES,
6 HELP THEM WORK AROUND PERCEIVED BARRIERS IN
7 INTELLECTUAL PROPERTY AND ACROSS INSTITUTIONAL
8 INTERACTIONS AND ANTAGONISMS, I THINK THAT BY HAVING
9 THE GROUP OF EXPERTS COME UP WITH THE BEST NOTION
10 FOR ARE THESE CELLS OF VALUE? ARE THEY SAFE? DO WE
11 HAVE GOOD PLACES TO USE THEM? IT'S A MUCH MORE
12 ETHICAL AND POTENTIALLY PRODUCTIVE BASIS FOR DOING
13 TRANSLATIONAL RESEARCH, STEM CELL RESEARCH THAN THE
14 WAY WE'RE DOING IT RIGHT NOW. I'LL LEAVE IT THERE.

15 CHAIRMAN LO: THANKS VERY MUCH, BRUCE.
16 QUESTIONS?

17 DR. PETERS: DR. DOBKIN, I FOUND YOUR
18 PRESENTATION VERY INFORMATIVE AND INTERESTING, AND
19 THE CAUTIONS AND WARNINGS THAT YOU GIVE US WE REALLY
20 NEED TO HEED. COULD I ASK YOU, AFTER HAVING GRANTED
21 THAT, IF YOU WERE TO SPECULATE OVER THE NEXT FEW
22 YEARS, ARE THERE ANY INSTANCES DO YOU THINK WHERE
23 INTERVENTION WITH HES CELLS ACTUALLY WILL HAVE A
24 POSITIVE EFFECT SUCH AS WITH PARKINSON'S AND
25 ALZHEIMER'S?

BARRISTERS' REPORTING SERVICE

1 DR. DOBKIN: I'M NOT -- I DON'T THINK THAT
2 ALZHEIMER'S DISEASE IS GOING TO BE A TARGET OR AT
3 LEAST MUCH OF A TARGET IN PART BECAUSE IT'S SUCH A
4 DIFFUSE DISEASE AND IT SPREADS THROUGHOUT THE BRAIN
5 OVER TIME. BUT IT IS POSSIBLE. JUST LIKE WITH
6 PARKINSON'S, THESE ARE PROGRESSIVE DISEASES. IT'S
7 POSSIBLE THAT SOME KIND OF CELLULAR STRATEGY MIGHT
8 MOLLIFY THE DISEASE EVEN FOR A FEW YEARS. AND FOR
9 ALZHEIMER'S, OF COURSE, IF YOU JUST COULD REDUCE THE
10 EFFECTS OF THE DISEASE BY A COUPLE OF YEARS, YOU
11 WOULD ADD TREMENDOUS AMOUNT OF QUALITY OF LIFE TO
12 PEOPLE.

13 BUT WHERE DO YOU PUT -- WHERE IS THE BEST
14 PLACE TO PUT THESE CELLS, AND YOU'RE JUST REPLACING
15 A TRANSMITTER ACETYLCHOLINE, OR DO YOU IN
16 PARKINSON'S JUST REPLACE THE DOPAMINE? I THINK
17 THERE WILL BE LIMITS TO HOW SUCCESSFUL WE ARE WITH
18 THOSE THINGS, BUT THEY ARE LOW HANGING FRUIT, AND
19 IT'S SOMETHING THAT WILL INEVITABLY -- HAS BEEN
20 TRIED AND WILL BE TRIED.

21 I THINK THAT CELLULAR AND AXONAL
22 GENERATION STRATEGIES WILL BE TERRIFIC FOR DRIVING
23 THOSE PATHWAYS THAT I SHOWED. THERE ARE INTACT
24 AXONS. WE CAN PUT IN CELLS THAT ACT AS A SIREN,
25 PRODUCE TROPHIC FACTORS AND OTHER SIGNALING

BARRISTERS' REPORTING SERVICE

1 MECHANISMS THAT TURN ON REGENERATIVE GENES AND HELP
2 US GUIDE THOSE AXONS TO OTHER MOTOR NEURONS, FOR
3 EXAMPLE, AND HELP LEAD TO RECOVERY. THAT WILL TAKE
4 TRAINING TOO BECAUSE, AGAIN, YOU'RE REWIRING THE
5 NERVOUS SYSTEM A LITTLE BIT, BUT IT'S DOABLE.

6 I THINK SPINAL CORD INJURY IS A REAL
7 POSSIBILITY, BUT THE GOAL HAS TO BE GETTING INPUT
8 JUST ONE OR TWO LEVELS BELOW THE LEVEL OF INJURY,
9 NOT WALKING AGAIN, BUT TAKING SOMEONE WITH A C-5
10 SPINAL CORD INJURY AND GIVING THEM FUNCTION AT C-6
11 AND 7, THAT WOULD BE ABSOLUTELY TERRIFIC. AND THOSE
12 TRIALS ARE RELATIVELY EASY TO DESIGN. THEY CAN BE
13 DESIGNED STARTING AS LITTLE AS TWO TO FOUR WEEKS
14 AFTER ONSET. THEY'RE DOABLE.

15 IN YOUR REFERENCES THERE'S SOME STUDIES
16 THAT WE DID WITH A WHOLE CONSENSUS GROUP LOOKING AT
17 HOW MANY PATIENTS YOU'D HAVE TO ENTER AND HOW WE
18 WOULD DESIGN THOSE KINDS OF TRIALS. AND A WHOLE
19 GROUP OF EXPERTS FELT THAT THAT WAS REALLY DOABLE.
20 THERE ARE REALLY INTERESTING POSSIBILITIES.

21 THERE'S THIS DISEASE CALLED
22 PELIZAEUS-MERZBACHER DISEASE, SO CHILDREN ARE BORN
23 WITHOUT MYELIN, MISSING A SINGLE GENE. THIS IS A
24 MOUSE, A TRANSGENIC MOUSE THAT WAS MISSING THE SAME
25 GENE. A FELLOW NAMED STEVE GOLDMAN CREATED

BARRISTERS' REPORTING SERVICE

1 PRECURSORS OF OLIGODENDROCYTES, MYELINATING CELLS.
2 THEY INJECTED THEM AT MULTIPLE SITES WITHIN THE
3 BRAIN. REMEMBER HOW SMALL THAT LITTLE MOUSE BRAIN
4 WAS. WELL, THEY INJECTED HIM IN DIFFERENT PARTS OF
5 THE BRAIN, CERTAIN NUMBER OF CELLS, THE CELLS WOULD
6 MIGRATE ALONG THE AXONS, AND RESCUED THE MICE. HE'S
7 NOW DONE IT IN DOGS.

8 SO HERE'S A CELLULAR INTERVENTION WHERE
9 YOU TAKE -- THERE ARE ONLY 300 KIDS A YEAR THAT HAVE
10 THIS, BUT THEY DIE, ALL OF THEM DIE WITHIN 18
11 MONTHS. HERE'S AN OPPORTUNITY TO USE CELLULAR
12 INTERVENTION TO FIX THEM. THESE ARE ALL LITTLE
13 NICHES. IN STROKE, WE HAVE INJURIES WITHIN THE
14 WHITE MATTER OF THE BRAIN. SOME OF THOSE MAY LACK
15 MYELIN, BUT THE AXON MAY BE INTACT. SO IF YOU COULD
16 JUST CONDUCT ELECTRICITY DOWN IT, IT MIGHT WORK.
17 YOU COULD INJECT CELLS LIKE THIS IN THAT LITTLE
18 SPACE AND GO FROM BEING PARALYZED TO BEING ABLE TO
19 USE THE ARM SOMEWHAT. AND THEN YOU CAN TRAIN IT TO
20 TRY TO DO BETTER.

21 YOU JUST GO ON AND ON, BUT WHAT WE DON'T
22 HAVE IS THE TREATMENT -- WE'RE NOT GOING TO HAVE THE
23 TREATMENT FOR STROKE, A TREATMENT FOR SPINAL CORD
24 INJURY, ALL SPINAL CORD INJURY. WE'RE GOING TO HAVE
25 TO REALLY THINK THROUGH INDIVIDUAL APPLICATIONS.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: LET ME GET A SENSE OF HOW
2 MANY PEOPLE WANT TO ASK QUESTIONS BECAUSE WE WANT TO
3 SORT OF FINISH BY SIX, AND I WANT IAN SWEEDLER TO
4 SORT OF SAY A LITTLE ABOUT REGULATORY ISSUES, WHICH
5 I THINK ARE VERY IMPORTANT. JUST RAISE YOUR HAND IF
6 YOU WANT TO ASK A QUESTION. QUICK QUESTIONS, QUICK
7 ANSWERS, AND WE'LL TRY AND FINISH UP IN ABOUT TEN
8 MINUTES.

9 DR. TAYLOR: BRUCE, I REALLY ENJOYED YOUR
10 PRESENTATION, AND I AGREE WITH ALL YOUR POINTS.
11 JUST TO KIND OF BE THE DEVIL'S ADVOCATE A LITTLE AND
12 TO TAKE THE POSITION THAT IN MY YEARS KIND OF SORT
13 OF CLINICAL MEDICINE, SEEING RANDOMIZED CONTROLLED
14 TRIALS HAVE EMERGED AS KIND OF THE MODEL FOR DOING
15 THIS TYPE OF INVESTIGATION, I'M STILL -- I HAVE THE
16 SENSE THERE'S A FAIRLY BIG RISK FOR TYPE TWO ERRORS
17 IN THOSE KINDS OF STUDIES. ALMOST ALL THE BIG
18 STUDIES THAT WE'VE SEEN THAT HAVE KIND OF FAILED ARE
19 BECAUSE I THINK THERE ARE A LOT OF UNACCOUNTED
20 PARAMETERS THAT END OF SORT OF CREATING MORE NOISE.

21 AND I'M JUST KIND OF WONDERING WHETHER IN
22 A FIELD LIKE STEM CELL THERAPIES WHETHER WE'RE
23 ASKING TOO MUCH TO DEMAND THAT RCT BE THE MODEL.
24 AND I LIKE WHAT YOU TALKED ABOUT BOOKENDING. THAT'S
25 THE WAY WE DO THINGS IN THE LABORATORY. WE CREATE

BARRISTERS' REPORTING SERVICE

1 AN ENVIRONMENT THAT'S FRANKLY TO REDUCE AS MUCH OF
2 THAT VARIABILITY AS POSSIBLE. AND IT SEEMS TO ME TO
3 GIVE STEM CELL THERAPIES A SHOT, AGAIN, I MIGHT
4 ARGUE SORT OF MORE INTELLECTUALLY, THE RIGHT ANSWER
5 ON THE TEST IS GOING TO BE RCT, BUT I'M JUST
6 WONDERING IS IT POSSIBLE THAT THERE'S ANOTHER
7 DESIGN, A DIFFERENT TYPE OF DESIGN THAT MIGHT ALLOW
8 US TO SEE MORE SUBTLE CHANGES AND NOT MISS STUFF,
9 WHICH I EXPECT WE WOULD MISS WITH RCT'S GIVEN ALL OF
10 THE ISSUES THAT YOU'VE KIND OF RAISED.

11 DR. DOBKIN: SO THE KINDS OF TRIALS WE'RE
12 USED TO ARE THE TRIALS THAT INVOLVE HUNDREDS OF
13 PATIENTS OR THOUSANDS OF PATIENTS TO GET AN ABSOLUTE
14 IMPROVEMENT OR AN ABSOLUTE OF A COUPLE PERCENT,
15 COUPLE PERCENT FEWER PEOPLE DIE FROM HEART DISEASE
16 GIVEN STATINS OR GIVEN ASPIRIN, COUPLE PERCENT OF
17 PEOPLE OVERALL HAVE FEWER STROKES. BUT WE HAVE TO
18 TREAT 99 PEOPLE TO GET ONE DECENT OUTCOME.

19 WHAT I WAS TRYING TO SUGGEST FOR STEM CELL
20 TREATMENTS AND BIOLOGICAL INTERVENTIONS IS THAT WE
21 OUGHT TO HAVE A HIGHER STANDARD FOR THE NUMBER
22 NEEDED TO TREAT BECAUSE IT'S LIKE A DESPERATE
23 SITUATION TO HAVE A PROOF OF PRINCIPLE THAT YOU CAN
24 ACTUALLY USE THESE CELLS AND REALLY REGENERATE
25 THINGS IN THE NERVOUS SYSTEM.

BARRISTERS' REPORTING SERVICE

1 WE WANT TO USE A LOT OF PHYSIOLOGICAL AND
2 IMAGING MARKERS TO GET A SENSE OF WHAT'S GOING ON
3 THERE, HAVE SECONDARY MEASURES THAT HELP US
4 UNDERSTAND DID THEY REALLY WORK, OR WAS IT SOMETHING
5 FREAKY THAT WE DIDN'T PLAN ON. BUT I THINK YOU PICK
6 SUBJECTS FOR YOUR FIRST TRIALS WHO CAN'T MOVE THEIR
7 HAND, HAVE A STROKE, AND TWO WEEKS LATER AND YOU
8 CAN'T EXTEND YOUR WRIST OR OPEN YOUR FINGERS. I
9 KNOW THAT THAT PATIENT IS NOT GOING TO GET A
10 FUNCTIONAL HAND. IT'S BEEN PROVED AGAIN AND AGAIN.
11 THAT'S THE PATIENT I WOULD GIVE CELLS TO IN THE
12 RIGHT PLACE IF I THOUGHT I COULD DRIVE THAT
13 PLASTICITY.

14 AND I SHOULD NOT NEED MORE SINCE VIRTUALLY
15 NO ONE IMPROVES. YOU SHOULDN'T NEED A WHOLE LOT OF
16 SUBJECTS TO BE ABLE TO ANSWER YOUR QUESTION. IF THE
17 QUESTION IS ABOUT SPINAL CORD INJURY AND YOU HAVE NO
18 MOVEMENT BELOW THE LESION AND YOU GET MOVEMENT TWO
19 SEGMENTS BELOW, THAT'S SO FAR OUTSIDE THE
20 POSSIBILITY OF RANDOM CHANCE, THAT IT OUGHT TO BE
21 GOOD ENOUGH. BUT YOU WANT TO CONTROL FOR IT, BUT
22 YOU DON'T NEED A THOUSAND PATIENTS IN EACH GROUP.

23 SO THERE WOULD BE SITUATIONS WHERE YOU
24 COULD GET BY A CLINICAL TRIAL THAT WAS ONE TO ONE.
25 THERE ARE A LOT OF INTERESTING WAYS TO DO STUDIES,

BARRISTERS' REPORTING SERVICE

1 YOU KNOW, BAYESIAN METHODS AS YOU GO ALONG. THERE
2 ARE PLENTY OF OTHER RELATED ACTIVITIES. THIS ISN'T
3 QUITE THE AUDIENCE FOR THAT, BUT I THINK THAT WE'RE
4 AIMING FOR ARE FAIRLY ROBUST INTERVENTIONS WHEN
5 YOU'RE GOING TO DO SOMETHING THAT'S INVASIVE THAT
6 CAN CAUSE HARM, NOT ONLY BY WHAT THE CELLS DO, BY
7 THE FACT THAT YOU MAY DAMAGE TISSUE.

8 CHAIRMAN LO: JOSE.

9 DR. CIBELLI: QUICK QUESTION. BASED ON
10 YOUR EXPERIENCE, DO YOU THINK THAT WE HAVE, JUST FOR
11 SPINAL CORD INJURY OR PERHAPS STROKES TOO, DO YOU
12 THINK WE HAVE THE BEST OR WE HAVE ADEQUATE ANIMAL
13 MODEL OR WE SHOULD ALSO SPEND TIME AND MONEY TRYING
14 TO DEVELOP BETTER ANIMAL MODELS? THE FACT THAT YOU
15 SHOWED THE PICTURE OF THE SIZE OF THE SPINAL CORD IN
16 THE RAT VERSUS THE SIZE, SO I WONDERED IF WE SHOULD
17 ALSO BE THINKING ABOUT DEVELOPING BETTER ANIMAL
18 MODELS, OR YOU THINK IT'S FINE? WE'RE OKAY?

19 DR. DOBKIN: ONE OF THE NOTIONS BEHIND
20 COLLABORATIONS IS THAT EVERY LAB HAS ITS MODEL. AND
21 WHAT YOU'D LIKE TO SHOW IS ROBUST EFFECTS ACROSS
22 MODELS, AND THAT WOULD GIVE YOU MORE CONFIDENCE THAT
23 THESE MODELS THAT REPRESENTED, EVEN IF THEY WERE
24 DIFFERENT STRAINS OF MICE OR RATS OR A RAT AND A PIG
25 MODEL OR A COUPLE OF NONHUMAN PRIMATES TO LOOK AT

BARRISTERS' REPORTING SERVICE

1 SAFETY AND PROOF OF PRINCIPLE ABOUT REGENERATION,
2 ALL THOSE THINGS, I THINK, ARE TERRIBLY IMPORTANT
3 FOR PRECLINICAL STUDIES. AND THEY'RE BEING DONE NOW
4 WITHOUT A LOT OF FANFARE FOR SPINAL CORD INJURY.

5 A GUY AT UCSD, MARK TUSZYNSKI, HAS AN
6 ABSOLUTELY GREAT SPINAL CORD INJURY MODEL IN
7 NONHUMAN PRIMATES. ANOTHER GUY, LEIF HAVTON, HAS A
8 GREAT CONUS CAUDA EQUINA INJURY MODEL IN MONKEYS.
9 YOU CAN'T DO A LOT OF ANIMALS, BUT YOU DO ALL OF
10 YOUR WORK IN A COUPLE OF RODENT MODELS, AND THEN YOU
11 APPLY THEM TO THE LARGER ANIMAL, AND JUST SEE ARE
12 YOU GETTING A REPRODUCIBLE RESULT.

13 CHAIRMAN LO: OVER ON THIS SIDE, ALTA.

14 DR. CHARO: IN ORDER TO MAINTAIN A
15 PROPERLY BLINDED STUDY, WILL IT BE NECESSARY TO
16 PERFORM SHAM SURGERIES FOR MOST OR ALL OF THE
17 NEUROLOGICAL STUDIES YOU'RE TALKING ABOUT? THAT'S
18 CERTAINLY BEEN A TOPIC IN THE PAST THAT'S GENERATED
19 A LOT OF DISCUSSION ABOUT APPROPRIATE RISK LEVELS
20 FOR HUMAN SUBJECTS.

21 DR. DOBKIN: I THINK THAT THE SHAM SURGERY
22 IS A NO-BRAINER. IT'S EASY TO DO. IT HAS TO BE
23 DONE. WHETHER YOU HAVE TO INJECT SOMETHING DEPENDS
24 ON WHAT YOUR BIOLOGICAL INTERVENTION IS. SO SHAM
25 SURGERIES HAVE BEEN DONE IN -- I MEAN I WAS PART OF

BARRISTERS' REPORTING SERVICE

1 THE ECIC BYPASS TRIAL YEARS AGO. THIS IS A TRIAL
2 WHERE EVERY NEUROSURGEON IN THE UNITED STATES SAID
3 IT IS UNETHICAL TO WITHHOLD THIS SURGERY FROM
4 PATIENTS THAT HAVE HAD A STROKE. AND WE DID IT. IT
5 TURNED OUT THAT THE SURGERY WAS USELESS. IN FACT,
6 IT INDUCED MORE STROKES THAN THE ALTERNATIVE. BUT
7 YOU HAD TO DO A SHAM SURGERY OR EVERYBODY WOULD HAVE
8 KNOWN WHAT WAS GOING ON. THE PARKINSON' S TRIALS
9 SHOWED YOU CAN GET BY WITH SHAM SURGERIES.

10 SO IT' S EASY TO MAKE A WOUND OPENING, BUT
11 IT CAN BE VERY DIFFERENT WHEN THE ISSUE OF YOU
12 INJECT SOMETHING IN THERE. SO IT DEPENDS ON WHAT
13 YOU' RE TALKING ABOUT, WHAT YOU' RE TRYING TO DO. IF
14 YOU HAVE A CELL-SPECIFIC TYPE, YOU MAY NOT HAVE TO
15 INJECT ANYTHING.

16 BUT I WOULD PROPOSE TO YOU THAT THE BEST
17 TREATMENT FOR PARKINSON' S DISEASE IS JUST PUTTING A
18 HOLE IN THE BRAIN. THAT' S THE WAY IT WAS DONE FOR
19 25 YEARS. PEOPLE DID THALAMIC CRYOTHERAPY. I
20 WATCHED DOZENS OF THEM, AND YOU GOT RID OF THE
21 TREMOR, YOU GOT RID OF THE STIFFNESS IN PATIENTS
22 WITH PARKINSON' S DISEASE. SO IF YOU' RE GOING TO PUT
23 CELLS INTO A PARTICULAR REGION OF BRAIN THAT' S PART
24 OF A NETWORK THAT DRIVES TREMORS AND AKINESIAS AND
25 THAT SORT OF THING, YOU HAVE TO INTERRUPT THAT TRACK

BARRISTERS' REPORTING SERVICE

1 THE SAME WAY AND PUT A LESION IN THE SAME SPOT.

2 IN THE SPINAL CORD IT WOULD BE DIFFERENT.
3 YOU WOULDN'T NECESSARILY HAVE TO STICK SOMETHING IN
4 THERE IF YOU KNOW THAT IT'S SEVERELY DAMAGED. I
5 THINK YOU HAVE TO LOOK AT EVERY -- YOU JUST DON'T
6 WANT TO DRAW GENERAL RULES AND THEN TRY TO STICK
7 WITH THEM.

8 CHAIRMAN LO: SHERRY.

9 MS. LANSING: I REALLY HAVE NEVER HEARD
10 THIS, AND THIS IS MY NAIVETE, BUT WHAT IS A SHAM
11 SURGERY? DO YOU ACTUALLY MEAN YOU GO THROUGH AND
12 PRETEND YOU DID THE SURGERY?

13 DR. DOBKIN: IT COULD TAKE A COUPLE FORMS.
14 IN THE SOME OF THE STUDIES, SO LET'S SAY IT'S A
15 BRAIN SURGERY. SO ONE GROUP YOU OPEN UP THE SKIN,
16 YOU REMOVE SOME OF THE SKULL, AND LET'S SAY YOU
17 IMPLANT A BLOOD VESSEL AND ANOTHER BLOOD VESSEL AND
18 PUT CELLS IN THERE. THAT WOULD BE THE EXPERIMENTAL
19 GROUP. THE OTHER GROUP, YOU WOULD MAKE THE
20 INCISION, HAVE ANESTHESIA, MAKE THE INCISION, YOU
21 MIGHT OPEN THE SKULL, AND THEN YOU CLOSE IT.

22 MS. LANSING: THAT'S HORRIBLE. THAT'S
23 HORRIBLE.

24 DR. DOBKIN: AND THE REASON IS --

25 DR. CHARO: I THINK WE CAN STIPULATE FOR

BARRISTERS' REPORTING SERVICE

1 THE RECORD THAT IT HAS A REAL SHOCK VALUE FOR PEOPLE
2 THAT HAVE NEVER THOUGHT ABOUT THIS BEFORE.

3 MS. LANSING: I'M JUST STUNNED. I KNOW
4 THIS IS PUBLIC AND I'M A PATIENT ADVOCATE. I NEVER
5 HEARD THAT BEFORE.

6 DR. DOBKIN: THE REASON IS THAT THE MERE
7 MANIPULATION OF THAT SKULL LEADS TO THE PRODUCTION
8 OF FACTORS IN THE BRAIN THAT CAN ACTUALLY CHANGE
9 WHAT YOU'RE LIKE. THE ANESTHESIA CAN AFFECT THINGS.
10 SO YOU NEED TO DO SOME OF THESE THINGS.

11 DR. TROUNSON: THERE ARE NOT REALLY A LOT
12 OF THE PATIENTS NECESSARILY WILL AGREE TO UNDERGO
13 THESE TRIALS WHERE YOU HAVE A SHAM PLACEBO. THEY
14 JUST WON'T DO THAT. IT'S NOT IN THEIR INTEREST TO
15 DO THAT. YOU KNOW, THEY EITHER WANT THE TREATMENT
16 OR NOTHING. AND SO IT'S VERY DIFFICULT TO DO WHAT
17 YOU SAY.

18 DR. DOBKIN: BUT WHAT'S INTERESTING IS
19 THAT TODAY, BECAUSE OF THE NUMBER OF SHAM SURGERY
20 RANDOMIZED CLINICAL TRIALS THAT WE'VE DONE, IT WOULD
21 BE MUCH HARDER IF THIS WAS 1978, BUT BECAUSE OF THE
22 NUMBER THAT HAVE BEEN DONE AND THE TREMENDOUS
23 DIFFERENCE IN OUTCOMES BEYOND WHAT WERE EXPECTED, IT
24 TURNS OUT THAT I THINK MOST SURGEONS WOULD AGREE
25 WITH IT.

BARRISTERS' REPORTING SERVICE

1 HERE' S A GREAT EXAMPLE OF THIS. IN
2 THE ' 50S AND EARLY ' 60S, THERE WERE THOUSANDS OF
3 PEOPLE GETTING VEINS GRAFTED ONTO THE SURFACE OF THE
4 HEART. AND THIS PREVENTED HEART ATTACKS. AND AFTER
5 DOING THIS -- IS ANYBODY FAMILIAR WITH THE STORY?
6 THESE VENOUS GRAFTS, THEY WENT ON FOR TEN YEARS, AND
7 SOMEBODY FINALLY SAID, YOU KNOW, I DON' T KNOW WHY
8 THIS WOULD WORK. AND SO THEY DID A CLINICAL TRIAL,
9 AND THEY DID A SHAM SURGERY VERSUS NO SURGERY -- I
10 MEAN DID A SHAM SURGERY VERSUS ACTUALLY PUTTING THE
11 VEINS IN. IT TURNED OUT IT DIDN' T HELP AT ALL.
12 THAT LED TO THE DEVELOPMENT OF CORONARY ARTERY
13 BYPASS SURGERY. IF YOU HADN' T DONE THAT TRIAL,
14 PEOPLE WOULDN' T HAVE TRIED TO IMPROVE ON THE FACT
15 THAT, HEY, THIS DOESN' T REALLY WORK. WE NEED TO GET
16 BLOOD PAST THE BLOCKAGE. THERE' S EXAMPLE AFTER
17 EXAMPLE OF THAT, AND IT' S FRIGHTENING.

18 MS. LANSING: ARE YOU TELLING ME THAT THEY
19 DID -- I STILL CAN' T EVEN -- I' M SORRY TO TAKE UP
20 EVERYBODY' S TIME AND I DON' T WANT TO. BUT YOU ARE
21 TELLING ME THEY GRAFTED THE VEINS ON AND PEOPLE
22 THOUGHT IT WAS WORKING?

23 DR. DOBKIN: PEOPLE SAID THEY HAD LESS
24 ANGINA AND LESS SHORTNESS OF BREATH. AND BY
25 HISTORICAL CONTROLS, THESE FOLKS SEEMED TO BE LIVING

BARRISTERS' REPORTING SERVICE

1 A LITTLE LONGER. AND SO THE IDEA WAS IT MUST WORK.
2 BUT THEN YOU ASK YOURSELF COMPARED TO WHAT.

3 MS. LANSING: THEN PEOPLE THOUGHT THEY HAD
4 THAT DONE TO THEM AND THEY HAD THE SAME LIFE SPAN.

5 DR. DOBKIN: JUST BECAUSE THEY THOUGHT.
6 THEY THOUGHT -- THE PATIENTS THOUGHT IT MUST BE
7 HELPING THEM, AND SO THEY DID MORE AND THEY ACTUALLY
8 EXERCISED MORE AND PROBABLY IMPROVED BLOOD FLOW TO
9 THE HEART BY EXERCISING MORE, FEELING THAT NOW THEY
10 WERE PROTECTED.

11 MS. LANSING: BUT IT SEEMS TO ME -- IT'S A
12 WHOLE BIGGER QUESTION. IT SEEMS TO ME IF YOU SAID
13 I'M GOING TO HAVE A CONTROL GROUP AND YOU'RE NOT
14 GOING TO GET IT AND WE'RE GOING TO TELL YOU WE DON'T
15 KNOW IF THIS WORKS AND WE WANT YOU TO EXERCISE MORE
16 AND EAT MORE, YOU MIGHT BE ABLE TO GET THE SAME
17 RESULTS RATHER THAN PUTTING SOMEONE THROUGH, WHICH
18 EVEN THE BEST SURGEON WILL TELL YOU THAT EVEN THE
19 MOST MINOR SURGERY HAS SOME RISK ATTACHED TO IT.

20 DR. DOBKIN: SO WE HAVE TO LOOK AT EACH
21 INDIVIDUAL ENTITY. LIKE I SAID, FOR PARKINSON'S
22 YOU'VE GOT TO DO IT SIMPLY BECAUSE THE MANIPULATION
23 OF THE SITE, YOU INTERFERE WITH THE CIRCUIT, AND WE
24 ALREADY KNOW BECAUSE IT WAS A TREATMENT THAT WORKED
25 NICELY FOR A WHILE IN EACH PATIENT. WE KNOW WE HAVE

BARRISTERS' REPORTING SERVICE

1 TO INTERRUPT THAT; WHEREAS, OTHER TRIALS YOU MAY NOT
2 HAVE TO GO THAT FAR.

3 CHAIRMAN LO: SHERRY, THIS IS IMPORTANT.
4 LET'S COME BACK. I THINK MARIE HAS AN EXAMPLE FOR
5 US A LITTLE LAYER OF A STEM CELL --

6 DR. CSETE: ACTUALLY I THINK IT MAKES
7 SENSE FOR ME TO FOLLOW BRUCE BECAUSE THESE QUESTIONS
8 COME UP IN WHAT I'M GOING TO TALK ABOUT.

9 CHAIRMAN LO: WHY DON'T WE --

10 DR. CSETE: AND THEN IAN WILL COME UP.

11 CHAIRMAN LO: YES. I DEFINITELY WANT TO
12 GET TO IAN. SO LET'S -- IF WE CAN ASK THIS RIGHT
13 SIDE TO HOLD QUESTIONS TILL LATER.

14 DR. CSETE: BECAUSE IT MAY COME UP HERE.

15 CHAIRMAN LO: THANK YOU, BRUCE.

16 DR. CSETE: SO I'M GOING TO TALK TO YOU
17 ABOUT WHAT'S IN THE LITERATURE ABOUT VARIOUS KINDS
18 OF STEM CELL THERAPIES THAT HAVE HAPPENED FOR
19 PARKINSON'S AS WE ANTICIPATE GOING AHEAD TO HUMAN
20 EMBRYONIC STEM CELL-DERIVED THERAPIES FOR
21 PARKINSON'S. THIS WILL TELL YOU AN AWFUL LOT OF
22 WHAT WE KNOW, AND IT WILL TELL YOU AN AWFUL LOT OF
23 WHAT WE DON'T KNOW. AND THE DIFFICULT QUESTIONS I
24 THINK WE'RE GOING TO HAVE TO FACE AS A TRANSLATIONAL
25 COMMUNITY IS WHEN WE ADVANCE A THERAPY, AS I SAID

BARRISTERS' REPORTING SERVICE

1 BEFORE, WITH ACKNOWLEDGED UNKNOWN. SO YOU ALREADY
2 HAD A LITTLE BIT OF AN INTRODUCTION TO PARKINSON'S
3 DISEASE, BUT EVERYONE WHO WORKS WITH CIRM KNOWS
4 PATIENTS WHO HAVE PARKINSON'S DISEASE. AND THIS IS
5 A TERRIBLE DISEASE THAT'S PROGRESSIVE. IT'S COMMON.
6 PATIENTS HAVE TREMORS, SHAKING THEY CAN'T CONTROL.
7 THEY HAVE RIGID MUSCLES THEY CAN'T CONTROL. THEY
8 HAVE VERY SLOW MOVEMENTS THEY CAN'T CONTROL, AND
9 THEIR POSTURE IS ABNORMAL. BUT IN ADDITION, THEY
10 HAVE ENORMOUS PSYCHOLOGICAL DISTURBANCES, SLEEP
11 DISTURBANCES, AND SYSTEMIC PROBLEMS.

12 THE CAUSE OF PARKINSON'S IS THE LOSS OF A
13 PARTICULAR NEURONAL POPULATION. AGE IS THE MAJOR
14 RISK FACTOR, AND THE NEURONS THAT ARE LOST MAKE A
15 CHEMICAL CALLED DOPAMINE. AND ITS REPLACEMENT IS
16 CURRENTLY THE MAINSTAY OF TREATMENT.

17 PEOPLE HAVE BEEN TRYING TO CELLS TO
18 TRANSPLANT -- CELL TRANSPLANTS FOR PATIENTS WITH
19 PARKINSON'S FOR A LONG TIME. AND IN THE '80S ALL
20 OVER THE WORLD THERE WERE MOSTLY FETAL-DERIVED
21 NEURAL CELLS THAT WERE PUT INTO PATIENT'S BRAIN IN
22 AN OPEN LABEL WAY, IN AN UNCONTROLLED WAY. AND
23 THESE INITIAL ATTEMPTS SHOWED THAT THE GRAFTS COULD
24 SURVIVE, AND THERE SEEMED TO BE SOME IMPROVEMENT IN
25 SOME PATIENTS, BUT WE SHOULD ALWAYS SAY THAT THAT'S

BARRISTERS' REPORTING SERVICE

1 A CAUTIONARY TALE. AND, IN FACT, THERE HAVE BEEN
2 SUBSTANTIAL NUMBERS OF PATIENTS WHO HAVE RECEIVED
3 EMBRYONIC TRANSPLANTS, NOT EMBRYONIC STEM CELLS,
4 EMBRYONIC TRANSPLANTS, IN WHICH THERE SEEMED TO BE
5 SAFETY AND IN SOME CASES SOME IMPROVEMENT.

6 BUT EVERYONE'S ATTENTION WAS ARRESTED WHEN
7 A REPORT CAME OUT IN 2000, THIS WAS A UNITED
8 STATES-BASED STUDY, THAT WAS RANDOMIZED DOUBLE BLIND
9 AND CONTROLLED IN WHICH 40 PATIENTS WERE RANDOMIZED
10 TO EITHER GET CULTURED FETAL CELLS OR A SHAM
11 SURGERY. AND THERE WERE FOUR EMBRYOS USED TO
12 COLLECT CELLS AND PUT INTO ONE PART OF THE BRAIN.
13 NO IMMUNOSUPPRESSION WAS USED. BUT HERE'S AN
14 IMPORTANT THING. FOR EMBRYOS THERE WAS NO MARK OF
15 POTENCY IN THESE CELLS, CELL NUMBER WAS NOT
16 NORMALIZED. OVERALL IF YOU ASK THE PATIENTS ABOUT
17 THEIR OWN PROGRESS, THEY CLAIMED THEY DID NOT GET
18 BETTER AS A RESULT OF THE TRANSPLANTS. DID PATIENTS
19 GET HARMED FROM THIS THERAPY? PERHAPS YES. SEVERAL
20 YEARS OUT FIVE OF THE PATIENTS DEVELOPED VERY SEVERE
21 MOVEMENT DISORDERS.

22 OTHER TRIALS FOLLOWED, BUT ANOTHER
23 RANDOMIZED TRIAL USED, INSTEAD OF INDIVIDUAL CELLS
24 FROM FETAL BRAINS, USED BASICALLY SLICES OF BRAIN
25 AND COMPARED ONE VERSUS FOUR DONORS. SO THIS WAS

BARRISTERS' REPORTING SERVICE

1 SORT OF A DOSE RESPONSE TEST. AND THERE WAS SOME
2 SUGGESTION THAT THE LARGER DOSE WAS BETTER FOR SOME
3 PATIENTS, AND LONG TERM, AGAIN, NO DIFFERENCE
4 BETWEEN PATIENTS.

5 SO HOW DO YOU TELL IF PATIENTS ARE GETTING
6 BETTER OR NOT? IT'S ACTUALLY PRETTY DIFFICULT. AND
7 I WANTED TO JUST SAY SOMETHING ABOUT TIMING, AND WE
8 CAN'T GO INTO THE KIND OF NEUROLOGIC TESTS THAT ARE
9 SO CRITICALLY IMPORTANT AND DR. DOBKIN TOUCHED ON.
10 BUT IN THIS PARTICULAR ASSAY OF HOW A PARKINSON'S
11 PATIENT IS DOING, IT'S A SERIES OF TASKS FOR THE
12 PATIENT. THE HIGHER NUMBER IS NOT WHAT YOU WANT.
13 YOU WANT TO HAVE A LOWER NUMBER. YOU'RE PERFORMING
14 BETTER WITH A LOWER NUMBER.

15 IF YOU JUST COMPARE THIS TIME POINT TO
16 THIS TIME POINT, FOR EXAMPLE, PATIENTS HERE WOULD
17 LOOK SIGNIFICANTLY BETTER AT THIS EIGHT-MONTH TIME
18 POINT AND MAYBE THEN PATIENTS WHO WERE HERE, BUT THE
19 LONG-TERM ANALYSIS REALLY SHOWED THAT THERE WAS NO
20 DIFFERENCE BETWEEN THESE TWO GROUPS OF PATIENTS.
21 FORGET THE DETAILS OF THE STUDY. IT'S REALLY
22 IMPORTANT THAT THE TIMEFRAME GO OUT FOR A VERY, VERY
23 LONG TIME.

24 DR. TAYLOR: WHAT WAS THE DURATION THOUGH?
25 WHAT WAS THE DURATION? ONE COULD INTERPRET THIS AS

BARRISTERS' REPORTING SERVICE

1 A SHORT-TERM IMPROVEMENT. WHEN DOES IT GO BACK TO
2 BASELINE?

3 DR. CSETE: THESE ARE MONTHS HERE. SO
4 THIS IS TWO YEARS TOTAL ACROSS THE GRAPH.

5 SO IT'S REALLY COMPLICATED. DR. DOBKIN
6 TALKED ABOUT THE KIND OF DIFFERENCES THAT ALL THE
7 PATIENTS HAVE, BUT THE CELL SOURCE IS AN ENORMOUS
8 ISSUE. WHAT AGE EMBRYOS ARE USED, WHAT PART OF THE
9 BRAIN, THE CELL NUMBER IS AN IMPORTANT ISSUE. ARE
10 THEY CULTURED? ARE THEY NOT? HOW ARE THEY STORED?
11 AND THERE WERE NO POTENCY ASSAYS IN ANY OF THESE
12 TRIALS.

13 AND I'LL REMIND PEOPLE THAT SOME
14 INVESTIGATORS ARE NOW TALKING ABOUT STEM CELL
15 THERAPIES WHERE THEY WOULD ARM THE CELLS FOR
16 SURVIVAL USING GROWTH FACTORS LIKE GDNF. AND GDNF
17 TRIALS WERE STOPPED BECAUSE A LITTLE TOO MUCH OF IT
18 GAVE PEOPLE TERRIBLE PSYCHIATRIC PROBLEMS.

19 WE NEED TO LOOK AT AN EFFECT IN MULTIPLE
20 ANIMAL MODELS, AND IT IS INTERESTING THAT THE GERON
21 IND WAS APPROVED IN CONTRAST TO THE ANIMAL RULE OF
22 THE FDA WITH ONLY ONE SPECIES BEING LOOKED AT. THE
23 DISEASE STATE OF THE PATIENT IS IMPORTANT AND OTHER
24 PATIENT FACTORS. IMMUNOSUPPRESSION IS CLEARLY
25 IMPORTANT. THE OTHER DRUGS THE PATIENT IS ON MAY

BARRISTERS' REPORTING SERVICE

1 AFFECT THE CELLS. THE SURGICAL SITE, THE TECHNIQUE,
2 PLACEMENT, VERY COMPLICATED.

3 SO TAKING THESE PATIENTS OUT LONG-TERM,
4 SOME OF WHOM WERE FROM THAT ORIGINAL TRIAL THAT I
5 TOLD YOU ABOUT, AND OTHERS FROM EARLY TRIALS, NOW
6 SOME OF THE PATIENTS HAVE PASSED AWAY AND AUTOPSY
7 RESULTS ARE BEING RECORDED IN THE LITERATURE JUST
8 RECENTLY. AND THERE IS SURVIVAL OF THE GRAFTS LONG
9 TERM, BUT SURVIVAL OF THE GRAFT DOES NOT NECESSARILY
10 CORRELATE WITH THE PATIENT OUTCOME.

11 IN ONE STUDY HALF THE PATIENTS DEVELOPED
12 ACTUAL PARKINSON'S LESIONING IN THE CELLS THAT WERE
13 TRANSPLANTED. SO THE DISEASE THAT WAS IN THE BRAIN
14 OCCURRED IN THE TRANSPLANTED CELLS. AGAIN, NOT WELL
15 CORRELATED NECESSARILY WITH THE PATIENT'S CLINICAL
16 PROGRESS.

17 IN ANOTHER STUDY FROM CANADA, THE NEURONS
18 THAT THE INVESTIGATORS IMPLANTED IN AN AREA OF THE
19 BRAIN THAT'S DOPAMINERGIC, THINKING THAT THESE
20 PRIMITIVE NEURONS WOULD DEVELOP ONLY INTO
21 DOPAMINERGIC NEURONS, THEY DEVELOPED INTO OTHER KIND
22 OF NEURONS AS WELL. AND GRAFTING IN ONE LOCATION
23 DOESN'T IMPROVE DISEASE IN OTHER IMPORTANT AREAS OF
24 THE BRAIN. SO THIS IS AN IMPORTANT THING TO
25 CONSIDER BECAUSE THE INITIAL DYSKINESIAS, THE

BARRISTERS' REPORTING SERVICE

1 INITIAL MOVEMENT DISORDERS THAT WERE A COMPLICATION
2 OF THE *NEW ENGLAND JOURNAL* TRIAL WERE JUST THE
3 INVESTIGATORS THOUGHT THAT THAT WAS A DOSING
4 PROBLEM. MAYBE THOSE PATIENTS GOT TOO MUCH
5 DOPAMINE. BUT I THINK THAT'S TOO MUCH OF A
6 SIMPLISTIC ANSWER.

7 SO I TOLD YOU THAT THERE WAS AN AWFUL LOT
8 OF QUESTIONS. THESE VARIABLES THAT HAVE NOT YET
9 BEEN OPTIMIZED IN THE LITERATURE ALTHOUGH QUIETLY IN
10 PLACES LIKE LUND, THERE ARE THESE KINDS OF
11 TRANSPLANTS STILL GOING ON FOR PATIENTS WITH
12 PARKINSON'S DISEASE, AND YOU CAN HEAR SOME RESULTS
13 AT NEUROSOCIETY MEETINGS, ALTHOUGH THEY HAVEN'T
14 REALLY REPORTED LARGE NUMBERS OF PATIENTS IN THE
15 LITERATURE.

16 WE DON'T KNOW THESE VERY BASIC VARIABLES,
17 AND YET WHY ARE WE GOING AHEAD AND THINKING
18 EMBRYONIC STEM CELL-DERIVED DOPAMINERGIC NEURONS FOR
19 TRANSPLANTATION? WELL, THE OBVIOUS THING IS THAT
20 FETAL SOURCES ARE HARD TO GET, AND EACH ONE WOULD BE
21 DIFFERENT. THEY'D HAVE ENORMOUS VARIABILITY. AND
22 EMBRYONIC STEM CELLS OFFER THE HOPE THAT THIS HOPE
23 ISSUE OF THE CELL PREPARATION, THE CELL
24 STANDARDIZATION, THE POTENCY ISSUES COULD BE WORKED
25 OUT, AND THERE WOULD BE A MASTER BANK WHERE YOU

BARRISTERS' REPORTING SERVICE

1 WOULD HAVE A RELIABLE WAY TO KNOW BASICALLY THE DOSE
2 OF THE CELL THAT YOU'RE GIVING.

3 BUT WE'RE ALSO GOING TO HAVE TO DO SOME
4 MAJOR WORK IN DEFINING WHAT HAPPENS TO THESE CELLS
5 IN THE ANIMAL MODEL. SO YOU'RE TRYING TO PICK A
6 CELL THAT ISN'T GOING TO PROLIFERATE
7 INAPPROPRIATELY, BUT WILL DIFFERENTIATE
8 APPROPRIATELY. AND FINDING THAT STAGE OF
9 DIFFERENTIATION IN WHICH TO TRANSPLANT THE CELL IS
10 NOT GOING TO BE TRIVIAL, I THINK.

11 SO IS IT ETHICAL FOR US TO, DESPITE ALL
12 THE GAPS IN KNOWLEDGE, WITH THE FETAL CELL
13 TRANSPLANTS COMPARE EMBRYONIC STEM CELL-DERIVED
14 GRAFTS TO THESE IN A HISTORICAL WAY OR IN AN ACTUAL
15 TRIAL? NOBODY REALLY KNOWS THE ANSWERS TO THESE.
16 BUT I THINK THE IMPORTANT ISSUE IS GOING BACK TO
17 ORIGINAL PATIENTS, AS MANY AS CAN BE FOUND, WITH THE
18 INVESTIGATORS AND GETTING AS MUCH INFORMATION FROM
19 EACH INDIVIDUAL PATIENT AS POSSIBLE. AND IT'S OUR
20 DUTY TO SUPPORT TRIALS THAT ARE ABSOLUTELY
21 TRANSPARENT IN THIS REGARD.

22 SO THERE ARE FUNDAMENTAL QUESTIONS, SOME
23 OF WHICH WE WON'T HAVE TO ANSWER TO GO AHEAD, BUT
24 WE'LL HAVE TO MAKE DECISIONS AS A COMMUNITY ABOUT
25 HOW MUCH ANSWER WE NEED TO GO AHEAD. WHY DO SOME

BARRISTERS' REPORTING SERVICE

1 GRAFTS GET RECURRENT DISEASE? DOES IT REALLY
2 MATTER? EARLY DISEASE PATIENTS, LATE DISEASE
3 PATIENTS, WHO'S THE RIGHT POPULATION? MAYBE
4 PATIENTS WITH EARLIER DISEASE ARE THE ONES WHO
5 RESPOND BEST TO CELL TRANSPLANT THERAPIES, BUT MAYBE
6 THEY'RE MORE AT RISK FOR THE COMPLICATIONS OF CELL
7 TRANSPLANT THERAPIES.

8 THE INFLUENCE OF IMMUNOSUPPRESSION ON THE
9 GRAFT IS A WHOLE OTHER ISSUE, AND THAT FUNCTION IS
10 STILL NOT CLEAR.

11 SO I JUST WANTED TO TANTALIZE YOU A LITTLE
12 BIT WITH THE FACT THAT THERE HAVE BEEN -- THERE'S
13 BEEN A PROCESS THROUGH WHICH VARIOUS KINDS OF STEM
14 CELL THERAPIES HAVE PROCEEDED INTO THE CLINIC AND
15 INCLUDING IN SOME RELATIVELY WELL-DESIGNED SMALL
16 TRIALS WITHOUT THE ANSWERS BEING KNOWN. SOME THINGS
17 HAVE BECOME CLEAR FROM THESE TRIALS, BUT A WHOLE LOT
18 OF QUESTIONS HAVE ARISEN AS WELL, AND YET WE ARE
19 EMBARKING ON A NEW ERA WITH A NEW CELL TYPE, AND NOT
20 HAVING THE FOUNDATION BEING COMPLETELY LAID DOWN FOR
21 US.

22 CHAIRMAN LO: QUESTIONS. JOHN, THEN WE'LL
23 SWING.

24 DR. WAGNER: WELL, FIRST OFF, I'M NOT AN
25 EXPERT IN PARKINSON'S DISEASE, SO WHAT I SAY MAY NOT

BARRISTERS' REPORTING SERVICE

1 BE COMPLETELY APPROPRIATE. ON THE OTHER HAND, YOU
2 KNOW, YOU CAN LOOK AT THE CURVE FROM LUND AND SAY
3 THAT'S A FAILURE BECAUSE LONG TERM THERE WAS NO
4 BENEFIT, HOWEVER IT WAS MEASURED. HOWEVER, YOU CAN
5 ALSO LOOK AT THAT AND SAY THAT WAS A TREMENDOUS
6 SUCCESS BECAUSE OF THE FACT THAT YOU HAD SOME
7 TRANSIENT IMPROVEMENT, PERHAPS WHATEVER THE
8 MEASUREMENT WAS. AND LIKE ANY DRUG THERAPY THAT WE
9 GIVE, NO MATTER WHAT THE INDICATION IS, BUT LET'S
10 SAY CANCER, YOU KNOW, OFTENTIMES WE FIND OUT THAT WE
11 LEARN ONE LESSON FROM THE FIRST STUDIES. AND AS YOU
12 SAID, THERE ARE A NUMBER OF VARIABLES.

13 SO ONE OF THE LESSONS IS CAN YOU DECREASE
14 THE VARIABLES? NO. 2, YOU ALSO HAVE SOME DATA TO
15 SUGGEST THAT MAYBE THESE TRANSPLANTED CELLS ACQUIRED
16 SOME ASPECT OF THE DISEASE ITSELF. AGAIN, THAT
17 GIVES US A PIECE OF INFORMATION FROM WHICH WE CAN
18 MOVE FORWARD ON.

19 YOU KNOW, COULD HAVE BEEN IMMUNOREJECTION
20 OF SOME SORT TO THOSE CELLS ALTHOUGH THEY HAD A
21 TRANSIENT IMPROVEMENT. AND AGAIN, SO WHAT YOU'VE
22 DONE IS THAT YOU'VE ACTUALLY LEARNED A FAIR AMOUNT
23 OR AT LEAST SOME CLUES, LET'S SAY. I CAN'T SAY
24 LEARNED BECAUSE THE NUMBERS OF PATIENTS ARE TOO
25 TINY. BUT YOU CAN SAY YOU HAVE SOME CLUES THAT

BARRISTERS' REPORTING SERVICE

1 MIGHT HELP YOU DESIGN BETTER TRIALS IN THE FUTURE.
2 YOU' RE RIGHT. IT DOESN' T GIVE US THE BACKGROUND
3 THAT YOU WOULD LOVE TO HAVE HAD OF 300 PATIENTS
4 RECEIVING ONE UNI FORM STANDARD CELL POPULATION ALL
5 DELIVERED THE EXACT SAME WAY IN THE SIMILAR PATIENT
6 POPULATION. IT' S NOT GOING TO EXIST, BUT YOU DO
7 HAVE SOME INFORMATION. ALL YOU CAN SAY IS AT THE
8 END OF THE DAY, I CAN TRY TO IMPROVE UPON THE DESIGN
9 OF THE STUDY, I CAN TRY TO HAVE BETTER READOUT
10 ASSAYS FOR THE NEXT TRIALS, WHETHER IT BE WITH
11 ES-DERIVED THERAPEUTICS OR SOME OTHER STEM CELL OR
12 SOME OTHER CELL POPULATION.

13 SO THERE' S THINGS THAT YOU CAN DO TO MAKE
14 IT BETTER, BUT I THINK THAT THE ONE THING THAT
15 YOU' RE ALLUDING TO IS IS THAT THE APPROPRIATE
16 DISEASE MODEL FROM WHICH TO EVEN START ES CELL
17 THERAPY IN? AND THAT' S A TOTALLY DIFFERENT QUESTION
18 THAT YOU CAN ANSWER EITHER WAY. I DON' T KNOW WHAT
19 THE RIGHT ANSWER IS.

20 DR. CSETE: I THINK YOU JUST PARAPHRASED
21 MY TALK.

22 DR. WAGNER: I DON' T WANT YOU TO LOOK AT
23 THAT AND SAY THAT' S A FAI LURE EITHER BECAUSE THERE
24 WERE MANY LESSONS THAT WERE LEARNED ALONG THE WAY
25 THAT WERE NOT BAD ONES.

BARRISTERS' REPORTING SERVICE

1 DR. CSETE: I ABSOLUTELY DIDN'T SAY IT WAS
2 A FAILURE.

3 DR. WAGNER: I THINK THAT ONE LAST THING
4 YOU SAID WAS TRANSPARENCY, AND THAT GETS BACK TO
5 YOUR COMMENT AND ONE OF YOUR CONCERNS ABOUT THE
6 STUDIES IN CHINA AND CENTRAL AMERICA AND ALL THAT
7 STUFF IS THAT THE TRANSPARENCY DOESN'T EXIST. AND
8 HOPEFULLY IN ACADEMIC CENTERS, AND, OF COURSE,
9 THERE'S EXCEPTIONS BECAUSE IF YOU WANT TO BE
10 NONTRANSPARENT, YOU CAN, BUT THE ONE THING THAT YOU
11 CAN DO HERE IN CALIFORNIA IF YOU WANT CIRM MONEY,
12 YOU CAN SOMEHOW AT LEAST MAKE IT SO THAT YOU CAN
13 HAVE SOME LEVEL OF TRANSPARENCY IN THESE ACADEMIC
14 CENTERS SO THAT YOU AT LEAST HAVE GREATER CHANCE OF
15 LEARNING SOMETHING. BECAUSE IF WE PROMISE NOTHING
16 ELSE TO OUR PATIENTS, WE SHOULD AT LEAST PROMISE
17 THAT WE LEARN SOMETHING FROM WHAT WE DO.

18 DR. CSETE: RIGHT. SO YOU KNOW IT'S
19 PRETTY CLEAR THAT SOME PATIENTS WERE HELPED. SOME
20 PATIENTS HAD NO CHANGE. SOME PATIENTS WERE HURT.
21 AND I THINK THE INTERESTING THING IS TRYING TO PICK
22 OUT THOSE PATIENTS WHO ARE GOING TO BE HELPED. AND
23 HUGE NUMBERS MAY BE REQUIRED, WHICH IS A TOUGH ONE.

24 SO, FOR EXAMPLE, IN THE CARDIAC STUDIES
25 THAT WE SEE, MULTIPLE DIFFERENT KINDS OF CELLS BEING

BARRISTERS' REPORTING SERVICE

1 USED, POST-MI , FROM MULTIPLE DIFFERENT KINDS OF
2 SOURCES, IT'S PRETTY CLEAR NOW, WHEN YOU LOOK AT ALL
3 THE LARGE NUMBERS OF THESE, THAT THE PATIENTS WHO
4 HAVE A BENEFIT ARE THOSE WHO ARE MOST SICK. AND SO
5 THE TRIALS THAT ARE NOW COMING OUT WILL BE DESIGNED
6 FOR PATIENTS WHOSE REJECTION FRACTIONS ARE, SAY,
7 LESS THAN 40 PERCENT, SOMETHING LIKE THAT.

8 AND THAT'S THE KIND OF DATA THAT GOING
9 BACK AGAIN TO TALK TO THE INVESTIGATORS ABOUT THE
10 INDIVIDUAL PATIENT CHARACTERISTICS AND TRYING TO DO
11 SOME SORT OF A META ANALYSIS WITH THESE PUBLISHED
12 DATA AND THINGS THAT ARE ONGOING MAY GET US SOME
13 REAL BENEFIT FOR DESIGNING A NEW CELL THERAPY FOR
14 THESE PATIENTS. EXACTLY RIGHT.

15 DR. WAGNER: JUST ONE LAST COMMENT THOUGH.
16 AND THAT IS THAT WHEN YOUR COMMENT ABOUT WHETHER OR
17 NOT WE SHOULD BE LOOKING AT EARLIER PHASE PATIENTS
18 VERSUS LATER PHASE PATIENTS, ONE THING THAT WE'VE
19 LEARNED IN CANCER TRIALS, AT LEAST MANY OF THEM,
20 THEY OBVIOUSLY DO BETTER WITH BETTER PATIENTS WHEN
21 YOU START OFF IN A BETTER CIRCUMSTANCE. BUT THAT'S
22 NOT THE WAY YOU DESIGN YOUR FIRST-IN-MAN TRIALS.
23 IT'S ALMOST TYPICALLY ALWAYS DONE IN THE WORST
24 PATIENTS, AGAIN LOOKING AT THE TOXICITY PROFILE.
25 AND, YOU KNOW, OBVIOUSLY YOU CAN CONTEST THAT.

BARRISTERS' REPORTING SERVICE

1 THERE' S ARGUMENTS IN EVERY WAY, BUT AT LEAST THAT' S
2 THE TRADITIONAL WAY TO START OFF. AND THEN WHEN YOU
3 SAY YOU HAVE SOME DOSE FOR HOWEVER YOU CHOOSE THAT,
4 THEN YOU TEND TO GO TO MORE BETTER --

5 DR. CSETE: RIGHT. SO I THINK THAT
6 THIS -- I' D LIKE TO QUESTION THAT IDEA OF DOING
7 THINGS IN THE SICKEST PATIENTS DEPENDING ON THE
8 DISEASE. I THINK THE MORE IMPORTANT ISSUE IS THAT
9 YOU NARROWLY DEFINE THAT PATIENT POPULATION SO THEY
10 LOOK A LOT LIKE EACH OTHER, AND YOUR END POINTS ARE
11 MORE ANALYZABLE.

12 DR. WAGNER: I DON' T DI SAGREE.

13 DR. DOBKIN: ONE OF THE THINGS THAT COMES
14 UP IN THE SENSE OF LEARNING OVER TIME IS HOW
15 COMPLICATED PARKINSON' S IS. AND IT JUST WAS A
16 MIRACLE THAT L-DOPA HAD SUCH A BENEFIT IN SOME
17 PATIENTS IN THE MID-' 60S. AND THEN THE IDEA WAS,
18 WELL, LET' S MAKE L-DOPA SOMEHOW BE SQUIRTED OUT,
19 SPRITZED OUT IN A MORE PHYSIOLOGICAL FASHION BY
20 HAVING CELLS PRODUCE IT. AND ONE SMALL PART OF A
21 VERY COMPLEX SET OF CIRCUITS WITH INHIBITION AND
22 DISINHIBITION AND EXCITATION. AND WHAT WE' RE
23 LEARNING IS THAT JUST THE SMALLEST MISPLACEMENT OF
24 THOSE CELLS OR THAT DOPAMINE BEING AVAIL ABLE LEADS
25 TO MOVEMENT DI SORDERS. YOU' RE DRIVING A PARTICULAR

BARRISTERS' REPORTING SERVICE

1 PATHWAY MORE THAN ANOTHER. SO, YOU KNOW, THE
2 SCIENCE HAS GOTTEN INCREDIBLE SINCE MENDRAZO WAS
3 DOING ADRENAL IMPLANTS INTO THE STRIATUM IN THE
4 MID-' 80S AND EVERYBODY GOT CURED UNTIL SOMEBODY DID
5 A TRIAL AND FOUND OUT NOBODY WAS GETTING CURED.

6 THIS IS ANOTHER EXAMPLE OF HOW THE NERVOUS
7 SYSTEM IS REALLY DIFFERENT THAN EVERYTHING ELSE.
8 IT'S JUST -- IT JUST DOESN'T GET WIRED THE SAME WAY.
9 IT'S JUST NOT A PANCREATIC --

10 DR. TROUNSON: BERNIE, THE ARGUMENT FOR
11 HAVING A VARIETY OF ANIMAL SPECIES IS EXTREMELY
12 COMPLICATED BY THE FACT THAT THERE ARE NOT STEM
13 CELLS IN SOME SPECIES. SO YOU ADD ANOTHER FACTOR AS
14 YOU MOVE ACROSS SPECIES THAT YOU'VE GOT TO USE HUMAN
15 CELLS IN A MODEL WHERE YOU'VE GOT TO ADD A HUGE
16 AMOUNT OF IMMUNE THERAPY TO STOP THE ATTACK. SO I
17 DON'T THINK THIS IS A SIMPLE ISSUE EITHER. AND I
18 THINK THAT'S PROBABLY ONE OF THE MATTERS THAT MUST
19 HAVE FACED THE FDA WHEN THEY CONSIDERED THE GERON
20 TRIAL. ALTHOUGH THE GENUINE MERITS OF GETTING
21 ACROSS A WHOLE RANGE OF ANIMAL SPECIES AND REALLY
22 WHAT IS THE ARGUED MERIT OF DOING A LOT OF VERY
23 EXPENSIVE TRIALS WHEN THE MODELING IS REALLY QUITE
24 DIFFERENT. YOU HAVE TO USE WHAT'S AVAILABLE TO YOU,
25 I THINK, WHEREVER YOU MOVE IN THIS AREA, AND THAT

BARRISTERS' REPORTING SERVICE

1 REALLY IS WHAT ARE THE VEHICLES AND OPPORTUNITIES
2 EXISTING TO TEST OUT.

3 DR. CSETE: SO ALAN IS REFERRING TO THE
4 ANIMAL RULE WHICH WAS JUST REISSUED A COUPLE WEEKS
5 AGO BY THE FDA. AND UNLESS THERE ARE EXCEPTIONS,
6 THE FDA EXPECTS FOR THESE NOVEL CELL THERAPIES THAT
7 YOU WILL HAVE RESULTS IN TWO SEPARATE SPECIES. AND
8 I ASKED GERON IN A PUBLIC MEETING ABOUT THIS, DID
9 THE ANIMAL RULE AFFECT YOU. AND THEY SAID NO.

10 I MEAN ONE OF THE ISSUES WITH PARKINSON'S
11 AND THE ANIMALS, I THINK THIS IS IMPORTANT TO STATE,
12 IS THAT THERE'S REALLY NOT A GOOD ANIMAL MODEL. SO
13 PHARMACOLOGICALLY INDUCED PARKINSON'S WHEN YOU JUST
14 GO IN AND KIND OF POISON WITH A DRUG DOES NOT REALLY
15 RECAPITULATE THE DISEASE. SO THAT'S ANOTHER
16 LIMITATION THAT WAS THERE WHEN THEY STARTED FETAL
17 TRIALS THAT IS STILL THERE RIGHT NOW AS WE PROCEED
18 TO EMBRYONIC STEM CELL TRIALS.

19 CHAIRMAN LO: I'M GOING TO TRY AND GET
20 SOME MORE QUESTIONS HERE.

21 DR. PRIETO: SORT OF A QUESTION AND
22 COMMENT. COMING AT THIS FROM THE CLINICAL SIDE AND
23 PATIENT ADVOCATE SIDE, I REALLY -- YOU KNOW, I FEEL
24 THE KIND OF PRESSURES THAT I THINK YOU WERE ALLUDING
25 TO, DR. DOBKIN, ALL THE TIME. I SAW A PATIENT

BARRISTERS' REPORTING SERVICE

1 YESTERDAY WHO KNOWS OF MY INVOLVEMENT WITH THE CIRM
2 AND HAS A SON WHO'S QUADRAPLEGIC AND SAID, YOU KNOW,
3 WE'RE WAITING FOR YOU TO COME UP WITH SOMETHING FOR
4 US. BUT I THINK THE MOST UNETHICAL THING WE COULD
5 DO WOULD BE TO DO BAD SCIENCE OR INADEQUATE SCIENCE
6 AND GIVE PEOPLE MISLEADING RESULTS.

7 SO TO SOME EXTENT I THINK YOU'RE PREACHING
8 TO THE CHOIR HERE THAT WE ALL WANT TO SEE VALID
9 RESULTS WITH REAL END POINTS THAT CAN BE MEASURED
10 AND DIFFERENTIATED AND THAT MEANS SOMETHING TO
11 PEOPLE CLINICALLY SO THAT WE CAN TELL THEM, YES,
12 THIS IS A GOOD TREATMENT OR THIS IS NOT A GOOD
13 TREATMENT. AND I UNDERSTAND THE RANDOMIZED CLINICAL
14 TRIAL IS SORT OF THE GOLD STANDARD THAT WE'VE ALL
15 ACCEPTED NOW, BUT I WONDER, ROD, YOU ALLUDED TO THIS
16 EARLIER, ARE THERE OTHER MODELS AND WAYS OF GETTING
17 THAT KIND OF GOOD INFORMATION THAT WE CAN GIVE
18 PEOPLE DOWN THE ROAD.

19 DR. CSETE: AND IT'S PROBABLY DISEASE
20 DEPENDENT. I'VE BEEN -- I SPENT A FAIR AMOUNT OF
21 TIME THIS YEAR TALKING TO THE ALS PATIENT ADVOCATES,
22 WHO HAVE BEEN VERY CONVINCING AT THE FDA LEVEL THAT
23 THEY SHOULD NOT BE SUBJECT TO RANDOMIZED CLINICAL
24 TRIALS BECAUSE THEIR DISEASE IS SO SHORT-LIVED AND
25 NO PATIENTS WILL SIGN UP FOR IT. AND SO ONE OF THE

BARRISTERS' REPORTING SERVICE

1 THINGS THEY DID AS A RESEARCH COMMUNITY WAS DEVELOP
2 A HISTORICAL DATABASE THAT REALLY SERVES, THAT THE
3 FDA AGREED WILL SERVE AS AN ARM FOR CLINICAL TRIALS
4 IN THAT PARTICULAR POPULATION.

5 DR. PRIETO: DR. DOBKIN MADE A COMMENT.
6 HISTORICAL CONTROLS SORT OF HAVE A BUILT-IN PROBLEM.

7 DR. CSETE: THEY ABSOLUTELY DO.

8 DR. PRIETO: THAT YOU DON'T KNOW HOW GOOD
9 THE HISTORY TAKING WAS.

10 DR. CSETE: RIGHT.

11 DR. PRIETO: AND YOU CAN'T GO BACK AND,
12 YOU KNOW, RECOLLECT IT. I THINK THE ARGUMENT THAT
13 YOU HAVE TO MAKE TO PERSUADE PEOPLE FOR RANDOMIZED
14 CLINICAL TRIALS IS THAT WE HAVE THIS NEW TREATMENT
15 AND, YES, IT MAY MAKE YOU BETTER, IT MAY ALSO MAKE
16 YOU WORSE. IT MAY DO NOTHING AT ALL. AND UNLESS WE
17 DO A STUDY THAT CAN ANSWER THAT QUESTION, WE
18 REALLY -- WE DON'T WANT TO SELL YOU FALSE HOPE. YOU
19 CAN'T TELL THEM WE HAVE THIS TREATMENT AND WE THINK
20 IT'S GOING TO BE GREAT. YOU HAVE TO TELL THEM WE
21 THINK IT MIGHT BE GOOD. IT MIGHT BE BAD. IT MIGHT
22 BE INDIFFERENT. AND YOU HAVE TO LAY THAT OUT JUST
23 TO BE HONEST TO PEOPLE.

24 DR. CSETE: RIGHT. SO I THINK THERE ARE
25 SITUATIONS IN WHICH THE DISEASE IS FATAL IN SUCH A

BARRISTERS' REPORTING SERVICE

1 SHORT TERM, THAT YOU'RE NEVER GOING TO GET A PATIENT
2 POPULATION. AND ALSO IS THE EXAMPLE. BUT THERE ARE
3 ALSO OTHER MODELS IN WHICH YOU COULD DESIGN -- WE
4 WERE TALKING ABOUT THIS BEFORE -- IN DESIGNING FOR
5 THE PLACEBO EFFECT THAT'S PART OF THE LITERATURE
6 THAT ALREADY EXISTS TO CHANGE THESE MODELS SO THAT
7 THEY'RE NOT QUITE SO RIGID. BUT IT ALL HAS TO BE
8 DONE EXTREMELY CAREFULLY.

9 DR. TAYLOR: MARIE, IT DOES SEEM THAT IN A
10 SITUATION LIKE THIS WHERE GETTING PATIENT CONSENT IS
11 GOING TO BE A CHALLENGE, AND I'M NOT A STATISTICIAN,
12 I DON'T KNOW HOW TO SORT OF SET UP THESE TABLES, BUT
13 IF YOU HAD SORT OF MULTIPLES IN THE ACTIVE TREATMENT
14 GROUP WITH SORT OF A SMALL NUMBER OF KIND OF PLACEBO
15 SHAM SURGERY-TYPE INTERVENTIONS, YOU MIGHT GET
16 AROUND SOME OF THE ETHICAL QUEASINESS THAT I THINK
17 SHERRY WAS SORT OF DEMONSTRATING TO US AS WELL AS
18 MAYBE ENCOURAGE MORE PATIENTS TO PARTICIPATE.

19 DR. CSETE: MOST OF THE BONE MARROW STEM
20 CELL-DERIVED MI TREATMENTS THAT ARE REGISTERED NOW
21 ARE TWO TO ONE OR THREE TO ONE.

22 DR. TROUNSON: ROB, WOULDN'T IT BE A LOT
23 EASIER TO TALK TO THE PATIENTS THAT ARE NOT SO
24 TERMINALLY ILL? THERE'S A BETTER CHANCE, IS THERE
25 NOT, BECAUSE AT LEAST THEY'RE NOT INTO THAT TERRIBLE

BARRISTERS' REPORTING SERVICE

1 CASCADE OF I'M REALLY HEADING FOR A DISASTER AND
2 THEY'RE MORE LIKELY TO BE RESPONSIVE. IS THAT NOT
3 TRUE?

4 DR. TAYLOR: I CERTAINLY THINK SO. AND
5 ONE OF THE PROBLEMS WITH THE RCT, AS SOMEBODY WHO
6 MADE IT THROUGH CALCULUS BY PARTIAL CREDIT, YOU
7 KNOW, IT'S REALLY NICE TO DO AN EXPERIMENT WHERE IF
8 THE WHOLE THING DOESN'T WORK PERFECTLY, AT LEAST YOU
9 KIND OF LEARNED SOMETHING GOING ALONG THE WAY. AND
10 UNFORTUNATELY THE RCT IS KIND OF DESIGNED TO HIT A
11 HOME RUN EVERY TIME; WHEREAS, OTHER TYPES OF STUDY
12 DESIGN THAT WE USE IN THE LABORATORY OFTEN, YOU CAN
13 SEE A LITTLE DIP IN THE LINE AND YOU CAN SAY, WELL,
14 YOU KNOW, AT TWO YEARS THIS DIDN'T LOOK ANY BETTER,
15 BUT AT ONE YEAR MAYBE THIS WAS BETTER.

16 SO I THINK THAT ACTUALLY IDENTIFYING
17 PATIENTS WHO ARE HEALTHIER, YOU HAVE MORE OF AN
18 OPPORTUNITY TO SEE SOME OF THE PARTIAL CREDIT THINGS
19 EVEN IF IT DOESN'T GIVE YOU EXACTLY THE KIND OF HOME
20 RUN THAT YOU WOULD BEING LOOKING FOR. SO, YEAH, I
21 THINK THAT'S A GREAT STRATEGY.

22 DR. CSETE: BUT I THINK POSTMARKETING
23 SURVEILLANCE IS AN ACKNOWLEDGEMENT THAT THE HOME RUN
24 ISN'T USUALLY THERE.

25 CHAIRMAN LO: I'M GOING TO TRY AND GET TWO

BARRISTERS' REPORTING SERVICE

1 MORE QUESTIONS AND THEN TURN TO IAN. JEFF AND THEN
2 DOROTHY.

3 MR. SHEEHY: I HAD A QUESTION MAYBE WITH A
4 LITTLE BIT OF FOLLOW-UP. WHEN TRANSPLANTS WERE
5 DONE, WERE THOSE RANDOMIZED CONTROLLED TRIALS?

6 DR. CSETE: NO. SO I LIVED THROUGH THE
7 EARLY PARTS OF SOLID ORGAN TRANSPLANTATION, AS JEFF
8 KNOWS. NO. THEY WERE NOT.

9 MR. SHEEHY: BONE MARROW TRANSPLANTS.

10 DR. CSETE: BONE MARROW TRANSPLANTATION,
11 JOHN CAN SPEAK TO THE HISTORY THERE. IT WASN'T, I
12 DON'T THINK, AT THE BEGINNING.

13 MR. SHEEHY: I WONDER BECAUSE I JUST
14 WONDER WHY WE'RE IMPOSING THIS MODEL. IT SEEMS LIKE
15 THE TRANSPLANT MODEL IS TO PRACTICE UNTIL YOU GET IT
16 RIGHT BASICALLY, AND PATIENTS SUFFER A LOT. AND I'M
17 JUST -- SO THAT'S ONE. I JUST -- I'M NOT CONVINCED.
18 AND HAVING TALKED TO JOAN FROM THE PARKINSON'S
19 COMMUNITY, THE VIRULENT ANTI-PATHY, THAT I REALLY
20 WISH JOAN WAS HERE, TO SHAM SURGERIES CANNOT BE
21 UNDERSTATED BY SOME MEMBERS OF THE ADVOCACY
22 COMMUNITY AND THE PATIENT COMMUNITY. AND I
23 JUST -- I DON'T KNOW.

24 DR. CSETE: JEFF, WITH SOLID ORGAN
25 TRANSPLANTATION YOU HAD THE OPPORTUNITY FOR AN

BARRISTERS' REPORTING SERVICE

1 ALL-OR-NONE KIND OF RESULT. AND WE DON'T KNOW WITH
2 REGENERATIVE THERAPIES YET EXCEPT IN A VERY FEW
3 ANIMAL MODELS OF DISEASES THAT THE KIND OF EFFECTS
4 ARE GOING TO BE SO HUGE TO JUSTIFY STEPPING OUT OF
5 THE STATISTICAL HELP THAT WE GET FROM RANDOMIZED
6 CONTROLLED TRIALS.

7 MR. SHEEHY: AND ALSO BRINGS IT TO THE
8 POINT THAT I THINK DR. DOBKIN WAS TALKING ABOUT WITH
9 THESE NEUROLOGICAL DISEASES. THERE'S SO MUCH THAT
10 WE DON'T UNDERSTAND. YOU ALMOST HAVE TO DO A
11 RANDOMIZED CONTROLLED TRIAL BECAUSE YOU'RE JUST
12 THROWING A DART ON THE BOARD. AND I'M WONDERING IF
13 AT ANY POINT WE WANT TO CONSIDER WHAT KIND OF
14 EVIDENCE IS NECESSARY BEFORE IT'S REALLY ETHICAL TO
15 PROCEED WITH THESE TRIALS. WHAT ANIMAL MODELS? I
16 MEAN WHEN YOU HAVE GOOD ANIMAL MODELS THAT MIMIC THE
17 DISEASE IN HUMAN BEINGS, WHEN YOU HAVE ADULT STEM
18 CELL THERAPIES THAT WE SEE ARE WORKING, AND I GUESS
19 DR. WAGNER IS OUT OF HERE. HIS GREAT EXAMPLE OF A
20 LIMITED ADULT STEM CELL THERAPY IN A VERY SERIOUS
21 DISEASE, THAT YOU MIGHT WANT -- WHERE YOU HAVE GREAT
22 PROOF THAT IT WORKS, BUT I WONDER IF THAT'S
23 MAYBE -- I JUST FEEL LIKE THE NEUROLOGICAL DISEASES
24 WHICH WE'VE SPENT THE LAST COUPLE OF HOURS ON, I
25 REALLY THINK WE'RE JUST TAKING A BIG GUESS WHETHER

BARRISTERS' REPORTING SERVICE

1 THOSE ARE GOING TO WORK AND WHETHER WE' LL EVER
2 REALLY KNOW WHAT HAPPENED IF THEY DO WORK.

3 DR. CSETE: I THINK THAT' S THE POINT. THE
4 PANELS OF NEUROLOGISTS CAN COME UP WITH CLINICAL
5 ENDPOINTS THAT THE BEST POSSIBLE ENDPOINTS FOR THE
6 DISEASES IN WHICH THEY' RE EXPERT, AND THAT' S WHAT WE
7 REALLY NEED.

8 DR. DOBKIN: THE ONLY THING I CAN HELP YOU
9 WITH HERE IS TO SAY THAT PHYSICIANS LIVE WITH
10 UNCERTAINTY, AND BIOLOGY IS FILLED WITH UNCERTAINTY.
11 AND WHEN YOU START DOING MANIPULATIONS AND YOU' RE
12 LOOKING AT A PROGRESSIVE DISEASE WHICH HAS AN UNEVEN
13 COURSE AND THAT PROGRESSION IS BASED ON TISSUE
14 DIFFERENCES, DIFFERENCES AMONG CELLS AND
15 CONNECTIONS, AXONS, SYNAPSES, NEUROTRANSMITTERS IN A
16 WIDE AREA, THE COMPLEXITY IS UNIMAGINABLE. AND SO
17 THERE ISN' T EVER GOING TO BE ANY SIMPLE SOLUTION.
18 BUT YOU COULD TAKE SOME ASPECT, SOME PARTICULAR
19 BEHAVIOR IS REALLY DISABLING, IN PARKINSON' S OR
20 ALZHEIMER' S, AND YOU COULD STRUCTURE YOUR CELLULAR
21 INTERVENTIONS SPECIFICALLY FOR THAT, AND YOU COULD
22 TEST THAT, AND YOU WOULD IMPLANT THOSE CELLS WHERE
23 ALL YOUR BASIC SCIENCE TOLD YOU THIS IS THE PLACE TO
24 BE, AND YOU COULD DO A VERY SMALL TRIAL AND GET AN
25 ANSWER.

BARRISTERS' REPORTING SERVICE

1 BUT AS SOON AS YOU SAY I'M GOING TO CURE X
2 DISEASE, YOU'RE JUST -- YOU'RE DEAD IN THE WATER.
3 SO YOUR MODELS CAN BE DESIGNED TO LOOK AT SOMETHING
4 RATHER SPECIFIC WHERE SOME OF THAT PATHOLOGY IS
5 REPLICATED IN THE MODEL. DOESN'T PREDICT WHAT WILL
6 HAPPEN IN HUMANS, BUT IT PUTS YOU A LEG UP IN
7 THINKING THIS THROUGH.

8 AND ONE OF THE PROBLEMS WITH THE WAY
9 RESEARCH IS CONDUCTED IS THAT PEOPLE HAVE VARIOUS
10 KINDS OF MODELS, AND THEY LOOK AT GLOBAL OUTCOMES
11 BECAUSE THAT'S INTERESTING IN THE ANIMAL MODEL
12 BECAUSE THERE AREN'T A LOT OF BEHAVIORS TO MEASURE,
13 SO YOU LOOK AT SOMETHING GLOBAL. BUT IN PATIENTS,
14 YOU KNOW, I WANT TO FIX THIS PARALYZED ARM. I WANT
15 TO STOP FROM FALLING. I WANT TO REMEMBER SOMETHING
16 THAT JUST HAPPENED. YOU CAN DEFINE THINGS IN
17 CIRCUITS, AND THEN YOU AIM YOUR INTERVENTION AT THE
18 CIRCUIT, NOT NECESSARILY AT THE DISEASE. YOU NEED
19 TO UNDERSTAND THE DISEASE, BUT YOU CAN USE THE SAME
20 CELLS TO FIX A WHITE MATTER INJURY WHETHER IT'S
21 PELI ZAEUS-MERZBACHERS OR MULTIPLE SCLEROSIS OR
22 STROKE OR SPINAL CORD INJURY POTENTIALLY IF YOU HIT
23 THE RIGHT CIRCUIT, YOU KNOW, IF YOU AIM WHERE THE
24 FRUIT IS HANGING LOWEST.

25 MR. SHEEHY: IT JUST SEEMS EERILY

BARRISTERS' REPORTING SERVICE

1 REMINISCENT OF A COMPLETELY DIFFERENT KIND OF THING,
2 BUT IT JUST SEEMS THAT YOU COULD DO THE SAME THING
3 IN TWO DIFFERENT PATIENTS AND ONE IT WOULD WORK AND
4 THE OTHER IT WOULDN' T.

5 DR. CSETE: ABSOLUTELY.

6 MR. SHEEHY: AND THAT JUST DOESN' T SEEM
7 APPROPRIATE FOR RANDOMIZED CONTROL TRIAL.

8 DR. CSETE: THAT' S THE PROBLEM.

9 MR. SHEEHY: IT JUST SOUNDS LIKE YOU JUST
10 HAPPENED TO HIT THE CIRCUIT IN THIS ONE PATIENT, AND
11 THE OTHER PATIENT IT DIDN' T HIT THE CIRCUIT AND IT
12 DIDN' T QUITE FORM UP RIGHT. AND THEN YOU TAKE THIS
13 DATA AND YOU EXTRAPOLATE AND YOU SAY THE TRIAL
14 DIDN' T WORK BECAUSE MOSTLY WE MISSED. THE ONE YOU
15 HIT SUDDENLY -- I JUST --

16 DR. DOBKIN: YOU' RE ALWAYS TRYING TO COME
17 UP WITH WAYS TO ENRICH YOUR TRIAL. I MEAN I
18 ACTUALLY COULD HAVE PUT AS A TITLE ENRICHMENT
19 STRATEGIES IN SOME OF THE THINGS THAT I MENTIONED
20 THERE LIKE PRACTICING TO IMPROVE A SKILL BEFORE YOU
21 START THE TRIAL. WHAT YOU' RE TRYING TO DO IS GET
22 DOWN TO THAT ONE THING THAT' S MOST IMPORTANT TO THE
23 PATIENT THAT YOU WANT TO FIX THAT YOU THINK YOU CAN
24 FIX, AND THAT' S WHERE YOU THROW ALL YOUR RESOURCES,
25 AND THAT HELPS ELIMINATE A LOT OF THAT NOISE. IT

BARRISTERS' REPORTING SERVICE

1 MAKES THESE STUDIES FEASIBLE.

2 THE MOST AMAZING THING ABOUT PARKINSON'S
3 IS THAT ANYTHING WORKED. I MEAN IT'S PHENOMENAL.
4 WE LEARNED MORE ABOUT HOW PARKINSON'S DISEASE
5 DEVELOPS FROM TRIALS OF TRYING TO CURE IT THAN
6 PERHAPS WE'VE DONE FROM TRYING TO MODEL IT BECAUSE
7 YOU SAW WHICH PATHWAYS WERE AFFECTED AND HOW THEY
8 WERE AFFECTED.

9 CHAIRMAN LO: I'M GOING TO GIVE DOROTHY
10 THE LAST COMMENT. SHE'S BEEN VERY PATIENT.

11 DR. ROBERTS: I HAD A COMMENT AND A
12 QUESTION. THE COMMENT IS JUST I THINK IF PATIENTS
13 OR PARTICIPANTS IN CLINICAL TRIALS AREN'T LED TO
14 BELIEVE THAT THEY'RE IN THE TRIAL TO RECEIVE A CURE,
15 THEN RATHER THEY'RE PARTICIPATING IN RESEARCH, THEN
16 THE IDEA OF A SHAM SURGERY DOESN'T SEEM SO UNETHICAL
17 BECAUSE NO ONE KNOWS WHEN THEY GO INTO IT IF THEY'RE
18 GOING TO BE HARMED, IF THEY'RE GOING TO BE
19 BENEFITED. THE PURPOSE IS TO LEARN MORE, FOR THE
20 RESEARCHERS TO LEARN MORE. THAT'S THE PURPOSE OF
21 THE RESEARCH. IF PEOPLE BELIEVE THEY'RE
22 PARTICIPATING IN IT TO RECEIVE A CURE, THEY HAVE
23 BEEN MISLED, I THINK.

24 AND SO IN THAT CASE PEOPLE WHO RECEIVE THE
25 SHAM SURGERY, YOU COULD ALSO LOOK AT IT AS THEY'RE

BARRISTERS' REPORTING SERVICE

1 NOT TAKING THE RISK OF HAVING THIS EXPERIMENT DONE
2 ON THEM, BUT THEY ARE PARTICIPATING IN RESEARCH THAT
3 IS POTENTIALLY GOING TO CREATE A CURE FOR THEM.

4 DR. CSETE: THAT'S TRUE. AND THAT'S HOW
5 CONSENT FORMS READ.

6 DR. ROBERTS: YES.

7 DR. CSETE: AND THE WHOLE IDEA OF SHAM
8 SURGERY OR OTHER CONTROL ARM IS SO THAT PEOPLE DON'T
9 KNOW, YOU KNOW, THE DOUBLE BLIND PART, WHAT IT IS
10 THAT THEY'RE RECEIVING. AND, YOU KNOW, I THINK
11 EVERY CONSENT FORM I'VE EVER READ TO A PATIENT SAYS
12 THIS WILL LIKELY NOT BENEFIT YOU, BUT MAY BENEFIT
13 FUTURE PATIENTS. BUT IT IS A VERY HARD
14 PSYCHOLOGICAL --

15 DR. ROBERTS: I UNDERSTAND. I UNDERSTAND.
16 BUT I THINK THERE'S A WAY OF THINKING ABOUT IT AS
17 RESEARCH, YOU'RE PARTICIPATING IN RESEARCH THAT KIND
18 OF CHANGES THE PERCEPTION OF THE ETHICS AND THE
19 RISKS OF IT SO THAT IT'S NOT AS IF THE PEOPLE
20 GETTING THE SHAM SURGERY AREN'T UNETHICALLY NOT
21 GETTING A CURE BECAUSE WE DON'T KNOW IF ANYONE IN
22 THE RESEARCH IS GETTING A CURE. THEY MAY BE HARMED
23 BY WHAT WE THINK IS GOING TO BE A CURE.

24 AND ALSO THEN MY QUESTION WAS ON THIS
25 ISSUE OF PATIENT CONSENT. AGAIN, I RECOGNIZE IT'S

BARRISTERS' REPORTING SERVICE

1 HARDER TO GET PATIENT CONSENT FOR A SHAM SURGERY,
2 BUT NO ONE KNOWS WHEN THEY GO INTO THE TRIAL WHICH
3 THEY' RE GOING TO GET. SO YOU' RE PARTICIPATING
4 PERHAPS WITH THE HOPE THAT YOU' RE THE ONE THAT GETS
5 THE REAL THERAPY, BUT YOU DON' T KNOW AHEAD OF TIME.
6 SO EVERYONE WHO PARTICIPATES IS TAKING THE CHANCE
7 THAT THEY MAY GET ONE OR THE OTHER.

8 DR. CSETE: THAT' S ABSOLUTELY RIGHT.

9 DR. ROBERTS: AND IN ORDER TO GET THE
10 SURGERY -- IN ORDER TO PARTICIPATE AT ALL, YOU HAVE
11 TO TAKE THAT RISK THAT YOU MIGHT GET THE SHAM
12 SURGERY. SO IN OTHER WORDS, IF YOU ARE SOMEONE WITH
13 AN ILLNESS, YOU' RE HOPING TO GET THIS CURE, WHAT YOU
14 HOPE IS A CURE. THE ONLY WAY YOU' RE GOING TO GET IT
15 IS TO PARTICIPATE -- TO HAVE A HOPE OF GETTING IT IS
16 TO PARTICIPATE IN THIS RESEARCH. AND IF THAT' S THE
17 WAY IT' S DONE, IT SEEMS TO ME THAT PEOPLE -- YOU
18 WILL HAVE NO CHOICE BUT TO TAKE THAT CHANCE, RIGHT?
19 IN OTHER WORDS --

20 DR. CSETE: PEOPLE DO HAVE A CHOICE.

21 DR. ROBERTS: IF YOU WANT TO PARTICIPATE
22 IN THE TRIAL.

23 DR. CSETE: SO IF YOU WANT TO PARTICIPATE
24 IN A TRIAL, THAT IS TRUE. THAT' S HOW IT' S PRESENTED
25 TO PATIENTS. YOU CAN' T GIVE THEM AN INDICATION OF

BARRISTERS' REPORTING SERVICE

1 WHICH ARM THEY'RE PLACED. BUT THERE ARE PATIENT
2 COMMUNITIES THAT REFUSE TO PARTICIPATE IN TRIALS
3 THAT ARE DESIGNED WITH PLACEBO ARMS, FOR EXAMPLE.

4 DR. ROBERTS: YEAH. YEAH.

5 CHAIRMAN LO: I'M GOING -- I REALLY WANT
6 TO CUT THIS OFF. WE OBVIOUSLY ARE GOING TO COME
7 BACK TO THIS TOMORROW AND IN THE FUTURE, SO IT'S A
8 GREAT DISCUSSION. BUT I WANT TO GIVE US SOME TIME
9 TO HEAR IAN SPEAK.

10 DR. CSETE: HE DIDN'T HAVE HIS FOLLOW-UP
11 THOUGH.

12 CHAIRMAN LO: WELL, IAN IS NOT GOING TO BE
13 HERE TOMORROW, AND THERE'S AN ISSUE -- LET'S GIVE
14 IAN A CHANCE TO SPEAK FIRST. AND THEN IF WE WANT TO
15 SORT OF GO THROUGH THE ADJOURNMENT TIME, THAT'S
16 FINE. BUT IAN IS THE GENERAL COUNSEL FOR CIRM, AND
17 THERE'S AN ISSUE WITH REGARD TO REPORTING
18 REQUIREMENTS PARTICULARLY WITH REGARD TO OOCYTE
19 DONATION. AND I WANTED HIM TO HELP US THINK THROUGH
20 FROM SORT OF A REGULATORY POLICY PERSPECTIVE.

21 THERE ARE OTHER REPORTING REQUIREMENTS IN
22 PLACE ALREADY THROUGH THE DEPARTMENT OF PUBLIC
23 HEALTH AND AT INDIVIDUAL INSTITUTIONS. AND THE
24 QUESTION THAT I THINK WE NEED TO THINK ABOUT IS WHAT
25 ADDITIONAL CIRM-SPECIFIC REPORTING REQUIREMENTS

BARRISTERS' REPORTING SERVICE

1 WOULD BE APPROPRIATE AND USEFUL. AND IAN HAS SORT
2 OF A GOOD PERSPECTIVE ON THIS. SINCE HE WON'T BE
3 ABLE TO BE HERE TOMORROW, GIVE HIM A CHANCE TO HELP
4 US THINK THROUGH THIS.

5 MR. SWEEDLER: THANKS. THIS IS BASICALLY
6 AN OUTGROWTH OF A LUNCHTIME CONVERSATION, SO I
7 DIDN'T HAVE TIME TO PREPARE SLIDES IN THE INTERIM.
8 AND I WASN'T HERE FOR PRIOR MEETINGS WHERE YOU
9 DISCUSSED THIS REPORTING REQUIREMENT, SO I WOULD
10 APPRECIATE GUIDANCE AS TO WHAT'S HELPFUL TO YOU.

11 BUT WHAT WE WERE DISCUSSING WAS THE
12 DEPARTMENT OF PUBLIC HEALTH HAS REPORTING
13 REQUIREMENTS FOR COLLECTION OF OOCYTES FOR RESEARCH
14 PURPOSES. AND UNDER THE STATUTE THAT THEY'RE
15 WORKING UNDER, THEY'VE SAID THAT THOSE ARE
16 APPLICABLE TO ALL RESEARCH PROJECTS THAT ARE NOT
17 FULLY FUNDED BY CIRM. AND THERE'S BEEN SOME
18 QUESTION ABOUT HOW TO HARMONIZE WHATEVER THOSE
19 REQUIREMENTS ARE WITH WHATEVER THE REQUIREMENTS ARE
20 THAT CIRM HAS UNDER THOSE CIRCUMSTANCES.

21 AND WE WERE DISCUSSING IT IN PART FROM THE
22 PERSPECTIVE OF WHOSE REGULATIONS WIN. BUT WE WERE
23 ALSO TALKING ABOUT THE FACT THAT THIS IS AN AREA
24 THAT CIRM'S REGULATIONS CURRENTLY DON'T EXACTLY
25 COVER. WE ARE NOT ASKING THOSE WHO ARE USING

BARRISTERS' REPORTING SERVICE

1 OOCYTES THAT WERE COLLECTED FOR PURPOSES OF RESEARCH
2 TO REPORT TO US ABOUT EACH SUBJECT FROM WHOM THEY
3 ARE COLLECTING THOSE OOCYTES. AND IF WE WERE TO
4 ATTEMPT TO COME UP WITH A SIMILAR APPROACH, THEN WE
5 WOULD BE GETTING INTO AN AREA THAT I DON'T THINK
6 WE'VE BEEN IN BEFORE, WHICH IS CIRM TAKING CUSTODY
7 OF INFORMATION ABOUT INDIVIDUAL PATIENTS OR
8 SUBJECTS. AND THERE ARE CERTAINLY IMPLICATIONS TO
9 DOING THAT.

10 AND THE NATURE OF INFORMATION AND PRIVACY
11 IS THAT ANY TIME YOU COLLECT INFORMATION LIKE THAT
12 IN ONE MORE PLACE THAN IT WAS BEFORE, YOU ARE
13 INCREMENTALLY INCREASING THE RISK OF SOME DISCLOSURE
14 OR PROBLEM. AND OBVIOUSLY THE DEPARTMENT OF PUBLIC
15 HEALTH IS IN THE BUSINESS OF COLLECTING THAT KIND OF
16 INFORMATION AND MAINTAINING IT IN CONFIDENCE.

17 SO WHAT WE WERE DISCUSSING WAS THE
18 POSSIBILITY THAT IF WE THINK THAT THAT SORT OF
19 INFORMATION SHOULD BE COLLECTED, EVEN REGARDING
20 OOCYTES COLLECTED FOR RESEARCH PURPOSES WITH REGARD
21 TO A FULLY CIRM-FUNDED STUDY, WOULD THE BEST
22 APPROACH BE TO SIMPLY WORK WITH THE DEPARTMENT OF
23 PUBLIC HEALTH AND ADOPT THEIR REGULATIONS AS OURS
24 AND ALLOW OUR RESEARCHERS OR REQUIRE OUR RESEARCHERS
25 TO BE REPORTING THERE RATHER THAN TO HAVE EITHER A

BARRISTERS' REPORTING SERVICE

1 DUPLICATIVE SET OF REPORTING REQUIREMENTS OR CREATE
2 AN ENTIRELY NEW ONE.

3 BUT THAT'S AGAINST THE BACKGROUND OF
4 DECIDING IS THAT THE KIND OF REPORTING REQUIREMENT
5 WE WANT TO BEGIN WITH. SO I'M NOT SAYING THAT WE
6 SHOULD JUST DO WHAT THEY DO BECAUSE IT'S EASIER TO
7 DO WHAT THEY DID. BUT IF WHAT THEY DID NEEDS AND
8 THE CONCERNS THAT YOU ARE INTENDING TO ADDRESS, THEN
9 IT MIGHT BE THAT THIS IS A CIRCUMSTANCE WHERE EVEN
10 THOUGH THERE'S ROOM FOR TWO DIFFERENT REGULATORY
11 SCHEMES, HAVING THE TWO AGENCIES WORK TOGETHER MIGHT
12 BE THE BEST FORM OF PROTECTION FOR RESEARCH
13 SUBJECTS.

14 CHAIRMAN LO: GEOFF, DO YOU WANT TO ADD
15 ANYTHING SINCE YOU'VE BEEN SORT OF THINKING ABOUT
16 THIS FOR A WHILE?

17 DR. LOMAX: WELL, JUST TO ADD A BIT OF
18 CONTEXT. THIS WAS A PROGRAM THAT WAS PRESENTED TO
19 THE WORKING GROUP IN JULY OF 2008, AND WE HAVE SORT
20 OF BECOME EXPERT ON THE CALIFORNIA DEPARTMENT OF
21 PUBLIC HEALTH PROGRAM. SO, FOR EXAMPLE, WE LOOKED
22 AT THE REPORTING FORMS, WE LOOKED AT THE
23 INFORMATION. I THINK EITHER JUST TO REMIND PEOPLE
24 AT THAT MEETING AND I KNOW, DR. KIESSLING, FOR
25 EXAMPLE, I THINK THERE WERE SOME CONCERNS ABOUT THE

BARRISTERS' REPORTING SERVICE

1 SUBSTANCE OF THE REPORTING FORM RAISED AT THAT TIME.

2 I DON'T KNOW IF THOSE CONCERNS STILL PERSIST.

3 SO JUST TO PUT THAT IN CONTEXT, THE
4 REPORTING PROGRAM WAS PRESENTED TO THE WORKING
5 GROUP, AND AT THE TIME THERE WAS NO DECISION MADE.
6 SO PART OF IT WOULD, IN TERMS OF WHAT IAN IS SAYING,
7 IT'S SORT OF THINKING BACK TO JULY AND WE COULD, FOR
8 EXAMPLE, PULL UP SOME OF THOSE MATERIALS. SO THAT'S
9 THE BACKGROUND OF -- THAT'S THE CONTEXT.

10 AND SO SINCE WE HAVEN'T HAD A DISCUSSION
11 SINCE THAT JULY MEETING ON THIS ISSUE OF REPORTING,
12 AND SO WE ARE NOW COMING BACK, THAT WE THOUGHT IN
13 ADVANCE OF ANY DISCUSSION, IT IS ON THE AGENDA FOR
14 THIS MEETING THAT WE SHOULD ADDRESS THIS SORT OF
15 POINT IN ADVANCE OF A DISCUSSION ABOUT IS IT SORT OF
16 FEASIBLE AND APPROPRIATE OR WORKABLE TO IF WE WERE
17 TO HAVE -- IF WE DID THINK IT WAS A GOOD IDEA TO
18 HAVE A PROGRAM, USE THE PUBLIC HEALTH, CAN WE DO
19 THAT. AND THAT'S WHAT WE'VE ASKED IAN TO ADDRESS.
20 SO I GUESS THAT'S THE CONTEXT. WE HAVE THE
21 MATERIALS. WE COULD PULL UP FORMS AND THINGS. I
22 DON'T KNOW IF YOU WANT TO DO THAT TODAY, BUT IAN
23 SORT OF ADDRESSED THAT SORT OF THRESHOLD QUESTION,
24 THAT THERE IS A MECHANISM THAT WE COULD MAKE USE OF.

25 MR. SWEEDLER: AND I JUST WANT TO REPEAT

BARRISTERS' REPORTING SERVICE

1 THIS POINT IF I WASN' T CLEAR ABOUT THIS.
2 PROPOSITION 71 GIVES THE ICOC THE AUTHORITY TO
3 REGULATE IN THIS AREA, AND IT GIVES IT SOMEWHAT
4 EXCLUSIVE AUTHORITY TO REGULATE WITH REGARD TO CIRM
5 GRANTEES. AND IN PART THAT'S TO MAKE SURE THAT THE
6 RIGHT ETHICAL STANDARDS ARE FOLLOWED. AND IN PART
7 IT'S TO MAKE SURE THAT INAPPROPRIATE OR UNNECESSARY
8 ETHICAL STANDARDS ARE NOT INTERPOSED AS AN OBSTACLE
9 TO RESEARCH.

10 SO ONE THRESHOLD QUESTION YOU WOULD
11 CERTAINLY HAVE TO LOOK AT IS DOES THIS -- DO WE
12 THINK THIS FORM OF REPORTING SERVES A PURPOSE? IS
13 IT ADVANCING THE ISSUES THAT WE'RE CONCERNED ABOUT?
14 SO I'M NOT SUGGESTING THAT YOU SHOULD ADOPT THIS AS
15 A ME TOO. I'M SIMPLY SUGGESTING THAT IF YOU THINK
16 REPORTING IS APPROPRIATE, THAT BEFORE YOU CONSIDER
17 SETTING UP SOME PARALLEL OR CONFLICTING REPORTING
18 REGIME, AT LEAST FACTOR INTO THAT THE COST AND
19 BENEFITS OF THAT AS COMPARED TO WORKING WITH THE ONE
20 THAT'S ALREADY BEEN SET UP.

21 CHAIRMAN LO: COULD I JUST ASK ALAN OR
22 MARIE SORT OF A VERY NAIVE QUESTION? I MEAN HOW
23 MANY GRANTS DOES CIRM NOW HAVE UNDER WHICH AN
24 INVESTIGATOR IS COLLECTING OOCYTES FOR RESEARCH
25 PURPOSES THAT WOULD FALL UNDER THIS SORT OF

BARRISTERS' REPORTING SERVICE

1 REPORTING?

2 AND SECONDLY, AS YOU LOOK AT YOUR SORT OF
3 SCIENTIFIC PRIORITIES AND SORT OF CRYSTAL BALL, HOW
4 MANY PROJECTS ARE THERE LIKELY TO BE IN THE FUTURE?

5 DR. CSETE: THERE'S ONE CURRENTLY FUNDED
6 ONLY. WE'VE SEEN APPLICATIONS THAT HAVEN'T BEEN
7 FUNDED IN THIS AREA. AND WE'RE SEEING NOT A LOT OF
8 GROWTH IN THIS AREA, I THINK, BECAUSE IPS CELLS GET
9 SCIENTISTS TO SOME OF THE ANSWERS THEY WANTED TO GET
10 TO USING SOMATIC CELL NUCLEAR TRANSFER MUCH EASIER.
11 OBVIOUSLY PEOPLE HAVEN'T BEEN SUCCESSFUL WITH
12 SOMATIC CELL NUCLEAR TRANSFER IN HUMANS REALLY. SO
13 I DON'T ANTICIPATE THAT THERE'S GOING TO BE HUNDREDS
14 OF STUDIES, BUT THERE'S CERTAINLY REASONS TO WANT TO
15 TRY TO KEEP THIS AREA OF RESEARCH GOING. I DON'T
16 THINK THAT THAT SHOULD SO MUCH IMPACT OUR REPORTING
17 DECISIONS.

18 CHAIRMAN LO: ROB.

19 DR. TAYLOR: YEAH. I GUESS I HAVE A
20 COUPLE OF THOUGHTS. I RECALL FROM LAST -- THAT JULY
21 MEETING. ONE THING THAT I WOULD SAY IS THAT ONE OF
22 THE THINGS THAT OUR SUBCOMMITTEE ADDRESSED WAS THIS
23 IDEA OF A REGISTRY, THE IMPORTANCE OF A REGISTRY FOR
24 EGG DONORS FOR RESEARCH PURPOSES. AND I THINK THIS
25 WOULD CERTAINLY OVERLAP THE VENN DIAGRAM OF THAT

BARRISTERS' REPORTING SERVICE

1 QUITE NICELY. SO I THINK THERE ARE SEVERAL REASONS
2 WHY THIS MIGHT BE AN ATTRACTIVE THING.

3 WHO COLLECTS THE DATA IS MORE OF A
4 PRAGMATIC ISSUE, AND MY RECOLLECTION OF THE
5 DEPARTMENT OF PUBLIC HEALTH PROFILE REALLY WAS DID
6 IT REALLY HAVE THE RIGHT FIELDS? DID IT HAVE THE
7 FIELDS OF INFORMATION THAT WERE REALLY GOING TO
8 MATTER FOR THIS PURPOSE? SO IT SOUNDS LIKE THE
9 INFRASTRUCTURE MIGHT BE THERE, BUT I'M NOT SURE THAT
10 THEY'VE GOT IT DESIGNED THE RIGHT WAY. AND I DO
11 THINK IT SEEMS LIKE AN ATTRACTIVE IDEA TO KEEP IT
12 OUT OF SORT OF THE CIRM UMBRELLA JUST FOR
13 CONFIDENTIALITY REASONS.

14 SO IF THAT DATABASE COULD BE TWEAKED, IF
15 THEY WERE KIND OF WILLING TO SORT OF PUT IN THE KIND
16 OF INFORMATION THAT I THINK MIGHT BE USEFUL FOR THE
17 PROGRAM, THAT SEEMS TO ME TO BE AN EFFICACIOUS WAY
18 OF GOING ABOUT IT. IF YOU COULDN'T REALLY TWEAK
19 THEIR DATABASE, THEN I'M NOT SURE THAT IT WOULD BE
20 WORTHWHILE IN MY PERSONAL VIEW.

21 CHAIRMAN LO: WOULD NOT BE WORTHWHILE
22 USING THEIRS?

23 DR. TAYLOR: USING DPH, YEAH.

24 DR. TROUNSON: JUST IN ADDITION TO,
25 BECAUSE MARIE WAS COMPLETELY CORRECT IN WHAT SHE

BARRISTERS' REPORTING SERVICE

1 SAID, THE MOVEMENT IN THE AREA OF SCIENCE, AS I
2 JUDGE IT, IS THE TRANSCRIPTION FACTORS ARE OPENING A
3 MUCH BETTER WINDOW TO UNDERSTANDING WHAT'S WITHIN
4 THE EGG CYTOPLASM THAT REPROGRAMS. AND I HAD THE
5 OPPORTUNITY OF MEETING PHILIPPE CARLAS (PHONETIC)
6 FROM NORWAY, WHO'S DONE A LOT OF WORK ON EGG
7 CYTOPLASM IN LARGE AMOUNTS OF ANIMAL MATERIAL, AND
8 THEY'RE GETTING CLOSER TO IDENTIFYING THE PROTEIN
9 AND MATCHING THEM UP WITH THE TRANSCRIPTION FACTORS.

10 SO I THINK WITH BOTH PROTEINS AND
11 TRANSCRIPTION FACTORS, YOU'RE PROBABLY GETTING
12 PRETTY CLOSE TO THE WHOLE EGG SITUATION. THEN IF
13 YOU LOOK AT THE ETHICAL ISSUES, AND WE'VE BEEN AT
14 THIS FOR QUITE A WHILE NOW, THE PROBLEM ASSOCIATED
15 WITH GETTING WOMEN TO DONATE LARGE NUMBERS OF EGGS
16 REALLY MAKES THE WORK INTERMINABLY DIFFICULT. AND
17 SO I THINK THAT'S THE REASON WHY WE GET A SMALL
18 NUMBER OF GRANTS. AND, IN FACT, THE ONE WE HAVE
19 CURRENTLY, THE SCIENTIST, IN FACT, WANTS TO MOVE OFF
20 INTO IPS. AND, YOU KNOW, WE THINK THAT THE PROJECT
21 AREA IS IMPORTANT TO KEEP GOING AT THIS POINT IN
22 TIME BECAUSE WE DON'T WANT TO LOSE PERHAPS IMPORTANT
23 INFORMATION.

24 BUT IN THE DISCUSSIONS I HAD WITH BERNIE
25 AND SHERRY IS THAT WASN'T THE RAGING PRIORITY, THAT

BARRISTERS' REPORTING SERVICE

1 THE CLINICAL ISSUES WERE. AND SO MY FEELING HERE,
2 AND IF IT'S REFLECTIVE OF WHAT WE ALL FEEL, IS THAT,
3 YEAH, WE SHOULD BE THOUGHTFUL ABOUT IT, AND THERE'S
4 GOOD REASON TO SORT OF COMPARE IPS CELLS WITH
5 NUCLEAR TRANSFER CELLS, BUT WE HAVE TO FACE THE
6 REALITY THAT THE ETHICS OF OBTAINING LARGE NUMBERS
7 OF EGGS IS NOT A VERY FAVORABLE ONE NO MATTER WHAT
8 YOU THINK.

9 DR. TAYLOR: WITH ONLY ONE -- IF THERE'S
10 ONLY ONE PROTOCOL, THAT MAY BE NOT ENOUGH TO CREATE
11 A WHOLE NEW REPORTING STRUCTURE. I DON'T DISAGREE
12 WITH YOU ON THAT.

13 DR. CSETE: AND THE SAFETY ISSUES
14 PRESUMABLY ARE REPORTED INTERNALLY AT THE UNIVERSITY
15 OR WHEREVER THE STUDY IS BEING DONE WITH THE PUBLIC
16 HEALTH DEPARTMENT AS A BACKUP.

17 DR. TAYLOR: I'M NOT SO CONCERNED ABOUT
18 THE SAFETY ISSUES AS MUCH AS MAYBE SOME OF THE OTHER
19 REGISTRY KINDS OF ISSUES. BUT I STILL WOULD HOPE
20 THAT, AGAIN, IT'S SORT LIKE THE PARALLEL PATHWAYS
21 WITH SORT OF THERAPEUTIC TRIALS, THAT THIS ISN'T
22 SOMETHING THAT'S GOING TO COMPLETELY GO AWAY BECAUSE
23 I HAVE THE SUSPICION THAT IN ANOTHER COUPLE OF YEARS
24 WE'RE GOING TO WANT TO BE THERE, AND IT WOULD BE
25 NICE TO HAVE SOME PEOPLE THAT ARE SORT OF

BARRISTERS' REPORTING SERVICE

1 FACILITATED TO DO SOME OF THAT WORK.

2 DR. TROUNSON: MARIE AND I THINK AT THE
3 VERY LEAST THAT WE HAVEN'T EVEN SORT OF STARTED TO
4 ADDRESS THE MITOCHONDRIAL DISEASES FOR WHICH IT MAY
5 WELL BE VERY RELEVANT TO BE ABLE TO ACCESS VERY
6 PRIMITIVE MITOCHONDRIA THAT DON'T EXIST IN OTHER
7 SYSTEMS. SO THERE COULD WELL BE REASONS WHY IT'S
8 ALL PARTICULARLY RELEVANT IN THE LONG TERM.

9 DR. CHARO: SO I APPRECIATE THE QUESTION
10 ABOUT WHETHER THE DPH HAD THE RIGHT FIELDS FOR
11 QUERYING; BUT ASIDE FROM THAT, A SECOND QUESTION
12 SIMPLY IS HOW URGENT IS IT THAT THIS BE DECIDED NOW?
13 IS IT POSSIBLE TO OVER TIME, IF THERE ARE -- IF
14 THERE'S MORE THAN ONE PROTOCOL THAT CIRM IS FUNDING,
15 IS IT POSSIBLE TO THEN GO BACK AND REVISIT THE DATA
16 OR HAVE SOMEBODY WHO'S GOT ACCESS TO THE DATABASES
17 APPROPRIATELY WITH LEGAL AUTHORITY TO LOOK AT IT, GO
18 BACK AND DO THE SEARCH FOR US? IN OTHER WORDS, DO
19 WE REALLY NEED TO WORRY ABOUT THIS PROSPECTIVELY NOT
20 KNOWING HOW BADLY IT'S NEEDED?

21 MR. SWEEDLER: WELL, IT SOUNDS LIKE
22 CURRENTLY THERE WOULD BE NOTHING TO REPORT FROM
23 CIRM-FUNDED RESEARCHERS IF WE HAD A REPORTING
24 REQUIREMENT. SO SOUNDS LIKE THERE'S NO URGENCY
25 HERE. THE REPORTING IN PART IS ADDRESSED AT ADVERSE

BARRISTERS' REPORTING SERVICE

1 OUTCOMES FOR THE DONORS, AND THERE'S OBVIOUSLY
2 SOCIAL BENEFIT IN HAVING THAT INFORMATION REPORTED
3 TO THE DEPARTMENT OF PUBLIC HEALTH. BUT IT DOES
4 SOUND LIKE A SOMEWHAT HYPOTHETICAL ISSUE FOR THE
5 WORKING GROUP OR THE ICOC TO BE DEALING WITH AT THIS
6 POINT. THAT DOESN'T MEAN WE DON'T WANT TO BE
7 PREPARED IF THE ISSUE ARISES, BUT IT CERTAINLY DOES
8 NOT SOUND URGENT.

9 DR. KIESSLING: I MAY HAVE MISSED IT, BUT
10 HAS ANYBODY FILLED OUT ONE OF THESE FORMS?

11 MR. SWEEDLER: I DON'T KNOW. THEY
12 WOULDN'T BE COMING TO US. I DON'T KNOW IF DR. LOMAX
13 HAS GOTTEN ANY FEEDBACK FROM THEM ABOUT THAT.

14 CHAIRMAN LO: LET'S ASK STEVE FROM UCLA
15 SCRO.

16 DR. PECKMAN: STEVE PECKMAN FROM THE
17 GROUND FLOOR AT UCLA. THIS TOPIC WITH CALIFORNIA
18 DEPARTMENT OF PUBLIC HEALTH, I THINK THERE ARE A FEW
19 ISSUES. ALL INSTITUTIONS THAT HAVE BEEN DOING HUMAN
20 EMBRYONIC STEM CELL RESEARCH HAVE BEEN REQUIRED TO
21 COMPLETE THE FORMS AND FILE THEM WITH THE CALIFORNIA
22 DEPARTMENT OF PUBLIC HEALTH.

23 PRECEDING THE ROLLOUT OF THOSE FORMS,
24 THOUGH, THERE WAS SUBSTANTIAL DEBATE ABOUT THE DATA
25 THAT THE CALIFORNIA DEPARTMENT OF PUBLIC HEALTH HAS

BARRISTERS' REPORTING SERVICE

1 BEEN REQUESTING ON THESE FORMS.

2 AND AT YOUR JULY MEETING YOU HEARD FROM
3 TWO INSTITUTIONS, UCLA AND UCSD, WHO WERE BOTH VERY
4 CONCERNED ABOUT THE DATA THAT DEPARTMENT OF PUBLIC
5 HEALTH WAS COLLECTING. IF I RECALL CORRECTLY,
6 STANFORD WAS ALSO ON BOARD WITH THOSE CONCERNS.

7 A THIRD QUESTION IS WHETHER THE CALIFORNIA
8 DEPARTMENT OF PUBLIC HEALTH ACTUALLY THROUGH
9 LEGISLATION HAS THE AUTHORITY TO COLLECT THE AMOUNT
10 OF DATA AT THE LEVEL THAT THEY'RE COLLECTING IT.
11 THAT'S BEEN DEBATED AS WELL.

12 ANOTHER QUESTION FOR YOU MIGHT BE WHETHER
13 PROP 71 ACTUALLY GIVES YOU THE AUTHORITY TO HAVE
14 ACCESS TO THOSE DATA, LET ALONE COLLECT THEM.
15 SUFFICE TO SAY, NO ONE FROM ANY OF THE INSTITUTIONS
16 I HAVE BEEN WORKING WITH HAVE BEEN IN A POSITION TO
17 REPORT ANYTHING ABOUT OOCYTE COLLECTION FOR RESEARCH
18 PURPOSES. WITH THAT BEING SAID, THERE'S ALWAYS THE
19 OPPORTUNITY THAT THAT COULD START TO OCCUR AND ALL
20 THE QUESTIONS STILL REMAIN.

21 THE OUTCOME, AS I RECALL THE JULY
22 DISCUSSION THAT HAPPENED IN THIS ROOM, WAS THERE WAS
23 STRONG ENCOURAGEMENT TO A CROSS-AGENCY WORKING GROUP
24 TO COME TOGETHER AND TALK ABOUT THESE ISSUES AND
25 COME TO SOME KIND OF CLOSURE AS TO THE TYPES OF DATA

BARRISTERS' REPORTING SERVICE

1 THAT COULD BE USEFUL, HOW THEY COULD BE COLLECTED,
2 AND THEY COULD BE COLLECTED SAFELY SO THAT PATIENTS
3 ARE ULTIMATELY PROTECTED, IN THIS CASE NOT REALLY
4 PATIENTS, BUT ACTUALLY RESEARCH SUBJECTS WHO ARE
5 GOING TO BE DONATING EGGS FOR THE PURPOSES OF
6 RESEARCH.

7 I'LL JUST ADD TO THAT THAT AT THE
8 DISCUSSION WE ALSO STRONGLY ENCOURAGED, BESIDES
9 HAVING THE CROSS-AGENCY WORKING GROUP, THAT YOU
10 INCLUDE PEOPLE ON THE GROUND FLOOR IN THIS WORKING
11 GROUP SO THEY COULD BE PART OF THE DISCUSSION TO
12 HELP ILLUMINATE ANY DECISIONS YOU ARE GOING TO MAKE.
13 BUT I APPRECIATE THE FUNDAMENTAL QUESTION THAT
14 SEVERAL OF YOU ARE ASKING, WHICH IS IS THIS AN
15 ISSUE, RIGHT. AND I DON'T KNOW OF ANYONE WHO'S
16 ACTUALLY ABLE TO DO THIS, WHO'S BEEN ABLE TO COLLECT
17 EGGS.

18 DR. KIESSLING: SO LET ME UNDERSTAND.
19 EVERYONE -- THE DEPARTMENT OF PUBLIC HEALTH FORM IS
20 BEING FILLED OUT FOR EMBRYO DONATION.

21 DR. PECKMAN: IT'S BEING FILLED OUT, BUT
22 I'M ASSUMING THAT, LIKE UCLA, THEY'RE FILLING IT OUT
23 IN TERMS OF NA, NOT APPLICABLE.

24 DR. KIESSLING: OH, NOT APPLICABLE.

25 DR. PECKMAN: RIGHT. BECAUSE THERE

BARRISTERS' REPORTING SERVICE

1 HAVEN' T BEEN ANY EGG DONATIONS FOR RESEARCH
2 PURPOSES.

3 DR. KIESSLING: OKAY.

4 DR. PECKMAN: FROM ANY OF THE ACADEMIC
5 CENTERS.

6 DR. KIESSLING: SO CURRENTLY AT THE
7 CALIFORNIA -- AT THE DEPARTMENT OF PUBLIC HEALTH,
8 THERE' S PROBABLY NO INFORMATION ABOUT ANY RESEARCH
9 SUBJECT ON FILE IN THESE FORMS.

10 DR. PECKMAN: FROM ACADEMIC MEDICAL
11 CENTERS. I CAN' T SPEAK TO OTHER SITES. AND, OF
12 COURSE, THE CALIFORNIA DEPARTMENT OF PUBLIC HEALTH
13 ARE THE BEST PEOPLE TO GO TO FOR THE ANSWER TO THAT
14 QUESTION. I WOULDN' T HAVE THAT ULTIMATE ANSWER.

15 DR. KIESSLING: OKAY.

16 CHAIRMAN LO: I CAN CERTAINLY -- WE HAVE A
17 MEETING SCHEDULED I THINK IT' S NEXT WEEK, MAYBE THIS
18 FRIDAY. I CAN SORT OF TRY AND BRING THIS UP AND ASK
19 AND SORT OF FIND OUT. BUT AS WE ALL KNOW, WITH THE
20 RESTRICTIONS ON PAYMENT FOR RESEARCH, IT' S BEEN VERY
21 HARD TO RECRUIT DONORS. AND THIS WOULD NOT INCLUDE
22 WOMEN WHO DONATE OOCYTES IN AN IVF CONTEXT AND THEN
23 OOCYTES THAT FAIL TO FERTILIZE ARE THEN GIVEN TO
24 RESEARCHERS RATHER THAN BEING DISCARDED.

25 ANY OTHER COMMENTS FROM THE PUBLIC ON THIS

BARRISTERS' REPORTING SERVICE

1 REPORTING ISSUE?

2 MS. FOGEL: I'M SUSAN FOGEL WITH THE
3 PRO-CHOICE ALLIANCE FOR RESPONSIBLE RESEARCH. I
4 JUST WANT -- WE'VE BEEN RAISING THIS REPORTING
5 QUESTION, AND I REALIZE IT'S NOT IMMINENT, BUT I
6 THOUGHT I READ THAT ADVANCED CELL TECHNOLOGIES DID
7 COLLECT EGGS FOR SOME RESEARCH THEY DID, BUT I DON'T
8 KNOW. THAT SHOULD HAVE ALSO BEEN REPORTED TO THE
9 STATE. SO IT WOULD BE USEFUL TO KNOW.

10 DR. CSETE: I THINK IT WAS DONE IN
11 MASSACHUSETTS.

12 MS. FOGEL: SO IT WOULD BE USEFUL TO GET
13 MORE INFORMATION FROM THE STATE. OBVIOUSLY WE CARE
14 A LOT ABOUT HAVING THIS DATA SHOULD WOMEN PROVIDE
15 EGGS. AND SO WE ENCOURAGE YOU TO KEEP LOOKING AT
16 IT.

17 CHAIRMAN LO: IAN, DO YOU HAVE ANY OTHER
18 COMMENTS YOU WANTED TO MAKE BECAUSE I KNOW YOU'RE
19 NOT GOING TO BE HERE TOMORROW?

20 MR. SWEEDLER: NO. OTHER THAN THIS HAS
21 BEEN A FASCINATING DISCUSSION OVERALL TODAY. I WISH
22 I WAS ABLE TO STAY FOR THE SECOND DAY. I'M HAPPY TO
23 CONTINUE WORKING ON THIS ISSUE IN ANY WAY THAT'S
24 HELPFUL.

25 CHAIRMAN LO: LET ME MAKE A SUGGESTION.

BARRISTERS' REPORTING SERVICE

1 THE DPH MEETING IS FRIDAY THE 20TH, AND I WILL BE
2 ATTENDING. AND LET ME GET SOME BASIC INFORMATION TO
3 SEND BACK TO THE COMMITTEE IN TERMS OF WHAT THE
4 FORMS LOOK LIKE, HOW IS THE REPORTING GOING, AND I
5 GUESS MAYBE GET AN INFORMAL SENSE OF THEIR
6 WILLINGNESS TO SORT OF HAVE A JOINT -- TO WORK WITH
7 US ON OVERSIGHT OF -- POTENTIAL OVERSIGHT OF
8 PROJECTS THAT ARE WHOLLY FUNDED BY CIRM, WHICH IS
9 THE GROUP WE'RE TALKING ABOUT.

10 IT JUST STRIKES ME THAT TO THE EXTENT THAT
11 WE'RE REALLY TALKING ABOUT SORT OF A PATTERN OR AN
12 INCIDENCE RATHER THAN JUST INDIVIDUAL CASES, IT
13 WOULD BE SCIENTIFICALLY IMPORTANT, AS ROB WAS
14 SUGGESTING, SO SORT OF BE ABLE TO AGGREGATE DATA
15 THAT'S HELD IN DIFFERENT SOURCES INTO ONE KIND OF
16 COMMON NUMERATOR AND DENOMINATOR TO SERIOUS ADVERSE
17 EVENTS.

18 SO IF THAT'S THE SENSE OF THE COMMITTEE, I
19 WILL SORT OF TAKE IT ON MYSELF ON FRIDAY AND COME
20 BACK THROUGH GEOFF.

21 OKAY. WE HAVE DINNER SCHEDULED AT WHAT
22 TIME, GEOFF?

23 MS. LANSING: 6:30.

24 CHAIRMAN LO: 6:30 IN THE SAME ROOM. ALL
25 THE FOOD, PAT SAYS, IS ALWAYS IN THAT ROOM. THERE

BARRISTERS' REPORTING SERVICE

1 ARE NO MORE OF THOSE SORT OF CHOCOLATE STRAWBERRIES
2 THAT HAD SOME SORT OF ENHANCEMENT WITH EITHER A
3 CHOCOLATE STEM CELL OR A CHOCOLATE GENE INSERTION,
4 BUT THERE WILL BE A DINNER. AND TOMORROW WE CONVENE
5 HERE AT WHAT TIME?

6 MS. LANSING: 9 O' CLOCK.

7 CHAIRMAN LO: 9 O' CLOCK. AND THEN WE WILL
8 CONTINUE THESE DISCUSSIONS OF THESE ISSUES, AND
9 WE' LL HEAR ADDITIONAL PERSPECTIVES FROM STEVE
10 PECKMAN AND MICHAEL KALICHMAN AND ALSO FROM INSU
11 FROM ISSCR.

12 OKAY. ONE MORE QUESTION.

13 DR. LOMAX: ONE LAST ANNOUNCEMENT.

14 MR. SHEEHY: I WAS JUST CURIOUS, DR.
15 DOBKIN, AND IT'S STIMULATED BY DOROTHY'S COMMENTS.
16 ASSUMING THAT THERE'S A FEELING THAT RANDOMIZED
17 CONTROLLED PLACEBO TRIALS ARE APPROPRIATE, IN THESE
18 SURGICAL SITUATIONS, ISN'T THE ETHICAL BURDEN THEN
19 ON US TO MAKE SURE THAT WE ACTUALLY DISCOVER
20 SOMETHING AS OPPOSED TO -- YOU KNOW, I'M LOOKING AT
21 THIS PARKINSON'S TRIAL WHERE IT SEEMS LIKE
22 IMMUNOSUPPRESSION MIGHT BE THE ISSUE. BUT ISN'T THE
23 REAL ETHICAL CONSIDERATION TO MAKE SURE, IF WE'RE
24 GOING TO CUT SOMEBODY'S BRAIN OPEN AND NOT DO
25 ANYTHING AND JUST PUT THEM UNDER AND CUT THEM OPEN,

BARRISTERS' REPORTING SERVICE

1 THAT WE ACTUALLY ANSWER A QUESTION? I MEAN IS THAT
2 NOT THE DOMINANT BURDEN IN ANY TRIAL, BUT SHOULD WE
3 NOT HAVE A HIGHER STANDARD?

4 CHAIRMAN LO: BECAUSE IT'S MORE BASIC,
5 ABSOLUTELY.

6 MR. SHEEHY: HOW DO WE DO THAT?

7 MS. LANSING: I'M GOING TO ASSUME THAT
8 WE'RE GOING TO HEAR ABOUT THIS SOME MORE TOMORROW
9 BECAUSE IT IS -- I THINK TO ME ONE OF THE MOST
10 IMPORTANT THINGS WE'RE GOING TO DECIDE, BUT I GUESS
11 RESPONDING AND I WAS GOING TO WAIT TILL TOMORROW
12 TOO, TO WHAT YOU SAID DOROTHY, TO ME EVEN IF YOU
13 TOLD PEOPLE WHAT WAS GOING ON, I MEAN, FIRST OF ALL,
14 IF YOU TOLD PEOPLE THEY WOULD THINK THIS WAS A
15 SCIENCE FICTION MOVIE. I MEAN THEY WOULD NEVER
16 BELIEVE THAT WE ACTUALLY WERE OPENING PEOPLE AND NOT
17 DOING SOMETHING TO THEM.

18 DR. CHARO: ALL THE TIME.

19 MS. LANSING: I KNOW. I ACTUALLY, THANKS
20 TO JEFF, HE'S BEEN ON THE COMPUTER, LIKE I'VE BEEN
21 PULLING UP ARTICLES. BUT TO ME THERE IS THE
22 POSSIBILITY AND THIS ISN'T A PURE THING WHERE YOU
23 WOULD ACTUALLY DO SOMETHING THAT COULD BE BENEFICIAL
24 AND COMPARE IT TO SOMETHING ELSE. BUT TO JUST
25 ACTUALLY OPEN SOMEBODY UP AND DO NOTHING IS -- I

BARRISTERS' REPORTING SERVICE

1 JUST DON'T UNDERSTAND IT. I HAVE TO BE HONEST.

2 MR. SHEEHY: PEOPLE PARTICIPATE -- I THINK
3 THE REAL THING, PEOPLE PARTICIPATE AND PEOPLE ARE
4 MOTIVATED, THEY HAVE A SENSE OF COMMUNITY WITH
5 PEOPLE, YOU KNOW, THAT'S PART OF BEING AN ADVOCATE
6 AND AN ACTIVIST. BUT IN ORDER TO WANT TO MOVE THE
7 SCIENCE FORWARD, YOU ACTUALLY SHOULD BE MOVING THE
8 SCIENCE FORWARD. AND PEOPLE MAKE INCREDIBLE -- IN
9 THE HIV AND AIDS FIELD, PEOPLE MADE INCREDIBLE
10 SACRIFICES IN ORDER TO MOVE THE SCIENCE FORWARD.
11 BUT IF YOU'RE NOT ANSWERING A QUESTION, IF YOUR
12 STUDIES ARE NOT WELL DESIGNED.

13 MS. LANSING: I THINK WE SHOULD DO THIS
14 TOMORROW.

15 DR. CHARO: I KNOW WE'RE GOING TO DO THIS.
16 I CANNOT LET YOU GO TO DINNER WITH A BASIC
17 MISCONCEPTION. FIRST, THINGS CAN SOUND DANGEROUS,
18 BUT THE ACTUAL RISK LEVEL NEEDS TO BE CAREFULLY
19 EVALUATED. IT MAY NOT BE AS RISKY AS WHAT YOU'RE
20 IMAGINING.

21 SECOND, IRB'S ALWAYS AND THE FDA ALWAYS,
22 IF THEY'RE INVOLVED, LOOK AT THE RISK OF THE
23 RESEARCH TO ALL THE SUBJECTS, THOSE IN THE ACTIVE
24 ARM AND THOSE IN THE CONTROL ARM BOTH, AS COMPARED
25 TO POSSIBLE BENEFITS. SO THAT'S TAKEN INTO ACCOUNT.

BARRISTERS' REPORTING SERVICE

1 AND FINALLY, IF I UNDERSTOOD DR. DOBKIN
2 CORRECTLY, AND I HAD HEARD SIMILAR THINGS BEFORE,
3 INTERESTINGLY, ONE OF THE THINGS THAT WAS LEARNED IN
4 THE CASES WHERE THIS WAS CONSIDERED TO BE SAFE
5 ENOUGH, THE RISKS WERE LOW ENOUGH THAT THEY APPROVED
6 IT, WAS THAT RATHER THAN THE SUBSTANCE OF THE
7 SURGERY OR THE INJECTION BEING THE CAUSE FOR CHANGE
8 IN OUTCOMES, IT WAS THE MERE FACT OF DOING THE
9 SURGERY. SO THAT IRONICALLY YOU LEARNED THAT NOT
10 ONLY WAS THE CONTROL ARM NOT UNDULY RISKY, BUT IT
11 WAS ACTUALLY POSITIVELY BENEFICIAL, WHICH NOBODY WAS
12 EXPECTING IN SOME OF THE EARLIER EXPERIMENTS. SO
13 JUST I WOULDN'T WANT TO YOU WALK OUT THINKING THIS
14 IS SOME KIND OF FRANKENSTEIN EXPERIMENT.

15 MS. LANSING: I ACTUALLY HEARD THAT THEY
16 BENEFITED FROM IT, BUT I THINK IT'S EXTRAORDINARILY
17 COMPLEX. ACTUALLY ON THE COMPUTER GEOFF PULLED UP A
18 COUPLE OF ARTICLES FOR ME TO READ.

19 CHAIRMAN LO: THIS IS A COMPLICATED,
20 DIFFICULT, CONTROVERSIAL ISSUE, AND I THINK IT IS
21 SOMETHING WE'RE GOING TO NEED TO THINK MORE ABOUT.
22 ARLENE, YOU WANT TO SAY ONE LAST THING.

23 DR. CHIU: I'M SORRY. I CAN'T CONTAIN
24 MYSELF ANYMORE. ARLENE CHIU, CITY OF HOPE. I KNOW
25 A LITTLE SOMETHING ABOUT THOSE TWO CLINICAL TRIALS

BARRISTERS' REPORTING SERVICE

1 WITH RESPECT TO FETAL TISSUE IMPLANTS FOR
2 PARKINSON' S BECAUSE BOTH OF THEM WERE FUNDED BY
3 NINDS IN 1998 AND THE RESULTS CAME OUT IN 2000.

4 AND THE FACT OF THE MATTER WAS THAT THE
5 PATIENTS WHO HAD SHAM OPERATIONS WHERE HOLES WERE
6 DRILLED IN THEIR HEADS, BUT THEY DID NOT RECEIVE THE
7 TRANSPLANTS, WERE TOLD THAT WHEN THE KEY WAS BROKEN
8 AND THEY KNEW THAT THEY WERE SHAM, THEY WOULD BE
9 OFFERED THE OPPORTUNITY TO RECEIVE TRANSPLANTS
10 SHOULD THE RESULTS BE EFFICACIOUS. SO THEY WERE
11 WILLING TO SIGN UP FOR A CLINICAL TRIAL KNOWING THAT
12 THEY COULD GET THAT.

13 THE PROBLEM IS THIS. YOU SAW THE DATA.
14 AT THE END OF THE FIRST YEAR, THERE WERE SIGNS OF
15 EFFICACY FOR ALL PATIENTS FOR THE PATIENTS THAT WERE
16 AROUND 40, 45 YEARS OLD. SO YOU KNOW YOU SAW THAT
17 DIP AND IT LOOKED VERY PROMISING. WHEN THE KEY WAS
18 BROKEN, WHEN THEY THOUGHT AT THE END OF ONE YEAR,
19 YOU SHOULD BE ABLE TO SEE WHAT WAS HAPPENING. SO
20 SEVERAL OF THE SHAM OPERATED PATIENTS ACTUALLY GOT
21 TRANSPLANTS. THE TROUBLE WAS AT THE END OF TWO
22 YEARS YOU SAW WHAT HAPPENED. AND WORSE THAN THAT,
23 SOME OF THE PATIENTS NOW GOT DYSKINESIAS WHICH WAS A
24 SIDE EFFECT THAT WAS NOT A GOOD SIDE EFFECT THAT WAS
25 UNEXPECTED. SO YOU CAN SEE THE DOUBLE-EDGED SWORD

BARRISTERS' REPORTING SERVICE

1 ABOUT EVEN OFFERING SOMETHING THAT SOUNDS SO
2 REASONABLE TO PATIENTS WHO WERE WILLING TO BE SHAM
3 OPERATED SHOULD THEIR NUMBER COME UP THAT WAY IN THE
4 RANDOMIZED CHOICE. SO IT'S NOT THAT SIMPLE EVEN
5 TRYING TO BE AS FAIR AS POSSIBLE IN STUDIES LIKE
6 THIS.

7 SO THE OTHER LAST POINT I WANTED TO MAKE
8 IS ONE OF THE MOST IMPORTANT THINGS IN THESE TRIALS
9 WERE THAT BECAUSE THEY WERE FUNDED BY THE FEDERAL
10 GOVERNMENT, THE RESULTS WERE PUBLISHED AND YOU SAW
11 EVERYTHING GOOD, BAD, AND UGLY, AND IT WAS ALL
12 PRESENTED AND WE CAN READ ABOUT THEM. IF THEY WERE
13 NOT FUNDED BY THE FEDERAL GOVERNMENT, BY SOME OTHER
14 ENTITY, THEY CAN CHOOSE WHAT DATA TO PRESENT OR WHAT
15 TO TELL YOU. AND SO I WOULD CERTAINLY ENCOURAGE
16 CIRM, NOW THAT YOU'RE EMBARKING ON THIS STAGE, THAT
17 A REQUIREMENT IS THAT WHATEVER THE RESULT THAT
18 CIRM-FUNDED CLINICAL TRIALS HAVE TO BE PUBLISHED SO
19 THAT PEOPLE CAN SEE IN LIGHT OF THE TRANSPARENCY
20 WHAT MAY, MAY NOT WORK BECAUSE OTHERWISE IT WOULD BE
21 MONEY WASTED IF YOU CAN'T REALLY INTERPRET THE
22 RESULTS. THANK YOU.

23 CHAIRMAN LO: THANKS VERY MUCH, ARLENE.
24 SO I'M GOING TO ADJOURN OURSELVES AND THEN SEE
25 EVERYBODY IN JUST A LITTLE WHILE FOR DINNER.

BARRISTERS' REPORTING SERVICE

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

DR. LOMAX: EVERYONE MAKE SURE THEY CLEAR
THEIR STUFF OUT OF THIS ROOM COMPLETELY.

(THE MEETING WAS THEN ADJOURNED AT
6:10 P.M.)

BARRISTERS' REPORTING SERVICE

REPORTER' S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE SCIENTIFIC AND MEDICAL ACCOUNTABILITY STANDARDS WORKING GROUP TO THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

LUXE HOTEL
11461 W. SUNSET BOULEVARD
LOS ANGELES, CALIFORNIA
ON
FEBRUARY 17 AND 18, 2009

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE DIGITALLY RECORDED AND SUBSEQUENTLY TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.



BETH C. DRAIN, CSR 7152
BARRISTER' S REPORTING SERVICE
1072 BRISTOL STREET
SUITE 100
COSTA MESA, CALI FORNIA
(714) 444-4100