

**BETH C. DRAIN, CA CSR NO. 7152**

BEFORE THE  
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE  
AND THE APPLICATION REVIEW SUBCOMMITTEE  
TO THE  
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE  
ORGANIZED PURSUANT TO THE  
CALIFORNIA STEM CELL RESEARCH AND CURES ACT  
REGULAR MEETING

LOCATION: CALIFORNIA INSTITUTE FOR  
REGENERATIVE MEDICINE  
1999 HARRISON STREET, SUITE 1650  
OAKLAND, CALIFORNIA

DATE: DECEMBER 13, 2018  
10 A.M.

REPORTER: BETH C. DRAIN, CSR  
CA CSR. NO. 7152

FILE NO.: 2018-19

**BETH C. DRAIN, CA CSR NO. 7152**

**I N D E X**

<b>ITEM DESCRIPTION</b>	<b>PAGE NO.</b>
<b>OPEN SESSION</b>	
1. CALL TO ORDER.	3
2. ROLL CALL.	3
3. CHAIRMAN'S REPORT	6
4. PRESIDENT'S REPORT	20
5. CONSIDERATION OF APPLICATIONS SUBMITTED IN RESPONSE TO CLINICAL TRIAL STAGE PROJECTS (CLIN1)	49
6. CONSIDERATION OF RESOLUTION HONORING DR. BERTRAM LUBIN	61
<b>CLOSED SESSION</b>	<b>69</b>
7. DISCUSSION OF PERSONNEL [EVALUATION OF PRESIDENT] (GOVERNMENT CODE SECTION 11126(A); HEALTH AND SAFETY CODE SECTION 125290.30(F)(3)(D))	
8. DISCUSSION OF CONFIDENTIAL INTELLECTUAL PROPERTY OR WORK PRODUCT, PREPUBLICATION DATA, FINANCIAL INFORMATION, CONFIDENTIAL SCIENTIFIC RESEARCH OR DATA, AND OTHER PROPRIETARY INFORMATION RELATING TO APPLICATIONS SUBMITTED IN RESPONSE TO AGENDA ITEMS "5" ABOVE. (HEALTH & SAFETY CODE 125290.30(F)(3)(B) AND (C)).	
5. PUBLIC COMMENT.	57
6. ADJOURNMENT.	70

**BETH C. DRAIN, CA CSR NO. 7152**

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

DECEMBER 13, 2018; 10 A.M.

CHAIRMAN THOMAS: WOULD LIKE TO WELCOME EVERYBODY TO THE DECEMBER 2018 REGULAR MEETING OF THE INDEPENDENT CITIZENS OVERSIGHT COMMITTEE AND THE APPLICATION REVIEW SUBCOMMITTEE OF CIRM. BEAUTIFUL DAY IN DOWNTOWN OAKLAND. WITHOUT FURTHER ADO, MARIA, WILL YOU PLEASE CALL THE ROLL?

MS. BONNEVILLE: GEORGE BLUMENTHAL.

DR. BLUMENTHAL: HERE.

MS. BONNEVILLE: LINDA BOXER. LARS BERGLUND.

DR. BERGLUND: HERE.

MS. BONNEVILLE: DEBORAH DEAS.

DR. DEAS: HERE.

MS. BONNEVILLE: ANNE-MARIE DULIEGE.

DR. DULIEGE: HERE.

MS. BONNEVILLE: JUDY GASSON.

DR. GASSON: HERE.

MS. BONNEVILLE: BERT LUBIN.

DR. LUBIN: HERE.

MS. BONNEVILLE: DAVID HIGGINS.

DR. HIGGINS: HERE.

MS. BONNEVILLE: STEPHEN JUELSGAARD.

MR. JUELSGAARD: HERE.

**BETH C. DRAIN, CA CSR NO. 7152**

1 MS. BONNEVILLE: SHERRY LANSING. LINDA  
2 MALKAS.  
3 DR. MALKAS: HERE.  
4 MS. BONNEVILLE: DAVE MARTIN.  
5 DR. MARTIN: HERE.  
6 MS. BONNEVILLE: SHLOMO MELMED.  
7 DR. MELMED: HERE.  
8 MS. BONNEVILLE: LAUREN MILLER.  
9 MS. MILLER: HERE.  
10 MS. BONNEVILLE: ADRIANA PADILLA.  
11 DR. PADILLA: HERE.  
12 MS. BONNEVILLE: JOE PANETTA.  
13 MR. PANETTA: HERE.  
14 MS. BONNEVILLE: FRANCISCO PRIETO.  
15 DR. PRIETO: HERE.  
16 MS. BONNEVILLE: ROBERT QUINT. SUZANNE  
17 SANDMEYER.  
18 DR. SANDMEYER: HERE.  
19 MS. BONNEVILLE: JEFF SHEEHY. OSWALD  
20 STEWARD.  
21 DR. STEWARD: HERE.  
22 MS. BONNEVILLE: JONATHAN THOMAS.  
23 CHAIRMAN THOMAS: HERE.  
24 MS. BONNEVILLE: ART TORRES.  
25 MR. TORRES: HERE.

**BETH C. DRAIN, CA CSR NO. 7152**

1 MS. BONNEVILLE: KRISTINA VUORI.

2 DR. VUORI: HERE.

3 MS. BONNEVILLE: DIANE WINOKUR. DOUG  
4 SEDANIS.

5 DR. SEDANIS: HERE.

6 CHAIRMAN THOMAS: THANK YOU, MARIA. I  
7 WANTED TO START BY NOTING THAT A NUMBER OF YOU HAVE  
8 BEEN KIND ENOUGH TO COMMENT ON MY HOLIDAY TIE. I  
9 WOULD LIKE TO POINT OUT I WAS PLANNING ON WEARING A  
10 DODGER TIE TO THIS MEETING, BUT THINGS DIDN'T QUITE  
11 WORK OUT THE WAY WE HOPED, SO HOLIDAY TIE IT IS.

12 MARIA, CAN YOU PLEASE LEAD US IN THE  
13 PLEDGE OF ALLEGIANCE.

14 (THE PLEDGE OF ALLEGIANCE.)

15 CHAIRMAN THOMAS: OKAY. WE'LL PROCEED TO  
16 THE CHAIR'S REPORT. YES, MR. SENATOR.

17 MR. TORRES: MR. CHAIRMAN, WE LOST A GREAT  
18 CALIFORNIAN YESTERDAY. JUSTICE WILLIAM NEWSOM,  
19 FATHER OF OUR GOVERNOR-ELECT, WHO I HAD THE HONOR OF  
20 KNOWING FOR MANY YEARS AND PROBABLY ONE OF THE  
21 BRIGHTEST MINDS ON THE APPELLATE COURT, PASSED AWAY  
22 YESTERDAY AT THE AGE OF 84 IN SAN FRANCISCO. AND I  
23 WOULD LIKE TO MOVE THAT WE ADJOURN THIS BOARD  
24 MEETING IN HONOR OF JUSTICE NEWSOM.

25 CHAIRMAN THOMAS: THANK YOU, MR. SENATOR.

**BETH C. DRAIN, CA CSR NO. 7152**

1 WE SHALL DO THAT.

2 FIRST ORDER OF BUSINESS, WE HAVE A NEW  
3 MEMBER TO WELCOME HERE, WHO IS DOUG ZIEDONIS, WHO IS  
4 DR. BRENNER'S ALTERNATE FROM UCSD. AND I'VE ASKED  
5 DOUG IF HE WOULD GIVE A FEW WORDS OF BACKGROUND TO  
6 THE BOARD.

7 DR. ZIEDONIS: THANK YOU. IT'S A PLEASURE  
8 TO BE ABLE TO SERVE WITH SUCH A GREAT, TERRIFIC  
9 GROUP HERE. I'M NEW TO UCSD. I CAME IN JUNE OF  
10 LAST YEAR AS THE ASSOCIATE VICE CHANCELLOR AND CHIEF  
11 ACADEMIC OFFICER AND WORKED CLOSELY WITH DR. BRENNER  
12 AS IT RELATES TO FACULTY MATTERS AND ALSO STUDENT  
13 ISSUES. AND WE'RE BUILDING A NEW SCHOOL OF PUBLIC  
14 HEALTH AT UCSD, WHICH ALSO FILLS MY DAY. I ALSO DO  
15 A LOT AS IT RELATES TO OUR GLOBAL ACTIVITY. THANK  
16 YOU FOR THE OPPORTUNITY TO BE HERE.

17 CHAIRMAN THOMAS: THANK YOU AND WELCOME  
18 ABOARD.

19 SO I'M GOING TO MOVE NEXT TO A REPORT ON  
20 THE BRIDGE FUNDRAISING, SORT OF A YEAR-IN-REVIEW  
21 SUMMARY. AS YOU RECALL, THROUGH THE END OF LAST  
22 YEAR, WE HAD SECURED 7 MILLION FROM BILL BOWES AND  
23 PITCH JOHNSON. WE TALKED ABOUT THAT EARLIER. AT  
24 OUR DECEMBER BOARD MEETING LAST YEAR, WHEN WE  
25 REPORTED ON THE FACT WE WERE AWARE WE'RE GOING TO BE

**BETH C. DRAIN, CA CSR NO. 7152**

1 OUT OF FUNDS POTENTIALLY BY THE END OF 2019, WE  
2 TALKED ABOUT A COUPLE THINGS. ONE, BOB KLEIN WAS  
3 HERE AND TALKED ABOUT HIS POTENTIAL INTENT TO RUN A  
4 NEW BOND MEASURE IN THE NOVEMBER 2020 GENERAL  
5 ELECTION FOR \$5 BILLION.

6 WE ALSO AT THAT MEETING DISCUSSED THE FACT  
7 THAT WE WOULD LIKE TO BE ABLE TO KEEP THINGS GOING  
8 AT A NORMAL PACE BETWEEN THE TIME WE HAD RUN OUT OF  
9 MONEY, WHICH WE ANTICIPATED TO BE LATE 2019, AND THE  
10 ELECTION. AND SO WE DECIDED AT THAT POINT THAT WE  
11 WOULD LOOK TO PURSUE BRIDGE FUNDING TO FILL THAT GAP  
12 IDEALLY IN AN AMOUNT EQUAL TO ROUGHLY THE AVERAGE OF  
13 WHAT WE PUT OUT OVER THE LAST FEW YEARS.

14 SO THE VISION AT THAT TIME WAS TO RAISE  
15 BRIDGE FUNDS TO NOT ONLY GO THROUGH 2020, BUT, IF  
16 SUCCESSFUL IN 2020, THE NEXT ACTUAL REALIZATION OF  
17 BOND PROCEEDS WOULD BE IN THE SPRING ISSUANCE BY THE  
18 STATE TREASURER OF BONDS ON BEHALF OF ALL STATE  
19 AGENCIES FUNDED OUT OF THE STATE TREASURER'S OFFICE.  
20 SO IT WOULD REALLY BE GETTING FROM LATE 2019 TO  
21 SPRING OF 2021.

22 THE IDEA WAS ALL OF THAT MONEY HAD TO BE  
23 RAISED IN A STAGGERED WAY THROUGH THE LAST  
24 INSTALLMENT IN THE MIDDLE OF 2020. A NOTE THAT THIS  
25 IS JUST REFERRING TO RESEARCH FUNDS. WE HAVE ADMIN

**BETH C. DRAIN, CA CSR NO. 7152**

1 FUNDS EARMARKED CURRENTLY ALL THE WAY THROUGH 2023.

2 THE STRATEGY AT THAT POINT TO PURSUE THE  
3 VISION WAS TO IDENTIFY MOST LIKELY PARTIES  
4 INTERESTED IN MEDICAL RESEARCH BOTH IN CALIFORNIA  
5 AND IN THE REST OF THE COUNTRY. WE SPENT A GREAT  
6 DEAL OF TIME DOING THAT.

7 SECONDLY, TO TAILOR THE ASKS TO SPECIFIC  
8 CANDIDATES THAT WE FELT WOULD BE THE MOST SUCCESSFUL  
9 IN TERMS OF WHAT WE WERE PITCHING.

10 THIRDLY, TO APPROACH THOSE CANDIDATES  
11 EITHER DIRECTLY OR THROUGH THIRD-PARTY  
12 INTERMEDIARIES WELL KNOWN TO THE CANDIDATES. AND  
13 THE THEORY THERE IS NOT ONLY IS HOW YOU ASK  
14 IMPORTANT, BUT WHO GETS YOU IN THE DOOR TO ASK IS  
15 VITAL. SO WE'VE SPENT A LOT OF TIME ANALYZING WHO  
16 THE CORRECT THIRD-PARTY INTERMEDIARY WOULD BE WHO  
17 WOULD HAVE INDIVIDUAL AND DIRECT CONTACT WITH THE  
18 CANDIDATES IN QUESTION.

19 WE'VE HAD WEEKLY MEETINGS OF OUR  
20 FUND-RAISING TEAM, CONSISTING OF MYSELF, MARIA  
21 MILLAN, MARIA BONNEVILLE, SCOTT TOCHER, AND ELIANA  
22 BARNETT, TO DISCUSS STRATEGY AS WE CONTINUE TO  
23 UPDATE. AND WE HAVE COORDINATED WITH BOB KLEIN'S  
24 OFFICE AND WITH MELISSA KING OF BOB KLEIN'S OFFICE  
25 ON THESE DISCUSSIONS.



**BETH C. DRAIN, CA CSR NO. 7152**

1 THE PLAN TO IMPLEMENT THE STRATEGY IS TO  
2 OFFER A MENU OF FUND-RAISING OPTIONS INCLUDING  
3 CHARITABLE GIFTS, THE LOAN PRODUCT THAT WE DISCUSSED  
4 THAT TIED TO THE ELECTION, PROGRAM-RELATED  
5 INVESTMENTS, AND OTHER DERIVATIVES OF THOSE IDEAS.  
6 WE DEVELOPED THAT MENU OF OPTIONS IN CONSULTATION  
7 WITH LEGAL COUNSEL, BOND COUNSEL FOR THE STATE, THE  
8 STATE TREASURER'S OFFICE, THE STATE CONTROLLER'S  
9 OFFICE, AND OTHER OUTSIDE PARTIES WITH RELEVANT  
10 INPUT, SUCH AS THOSE THAT HAVE HAD PROGRAMS THAT WE  
11 MIGHT WISH TO EMULATE. NOTE THAT WE'RE ASKING FOR A  
12 VARIETY OF THINGS, INCLUDING UNRESTRICTED GIFTS OR  
13 LOANS OR GIFTS OR LOANS TO SPECIFIC PROJECTS OR  
14 CONDITIONS OR WHATEVER.

15 THE IDEA WITH THE GIFT WOULD BE, OR LOAN,  
16 IF YOU PUT MONEY IN, NOT ONLY WOULD IT ENABLE THE  
17 BRIDGE PERIOD, BUT IT WOULD ALLOW FOR GIVING US THE  
18 MOST CREDIBLE SHOT OF GETTING AN ELECTION PASSED IN  
19 2020, AT WHICH TIME, WHATEVER YOUR PARTICULAR  
20 INTEREST WOULD BE, IF THE MEASURE PASSED, YOU WOULD  
21 HAVE A TREMENDOUS LEVERAGING EFFECT. SO IF YOU WERE  
22 TO PUT IN, FOR EXAMPLE, 50 MILLION AND THE MEASURE  
23 PASSED, YOU'VE HAVE A HUNDRED-TO-ONE LEVERAGE THAT  
24 WOULD RESULT FROM THAT, A GOOD CHUNK OF WHICH COULD  
25 GO TOWARDS WHATEVER YOUR SPECIFIC INTEREST WAS.

**BETH C. DRAIN, CA CSR NO. 7152**

1 SO AS WE'VE BEEN DEVELOPING THOSE IDEAS,  
2 WE'VE BEEN REFINING THE ASKS AS WE'VE GONE ALONG IN  
3 TERMS OF WHAT FEEDBACK WE GET AS TO WHAT SOUNDS MORE  
4 APPEALING OR LESS APPEALING.

5 TO DATE, IMPLEMENTING THAT PLAN, WHICH IS  
6 CONNECTED TO THE STRATEGY, WE'VE MET OR HAD  
7 CONFIDENTIAL CALLS WITH DOZENS OF STAKEHOLDERS,  
8 INCLUDING ULTRA HIGH NET WORTH INDIVIDUALS WHETHER  
9 INDIVIDUALLY OR IN GROUPS.

10 I REFERENCED AN EVENT THAT BOB AND I DID  
11 IN THE SUMMER IN THE PALO ALTO AREA FOR A NUMBER OF  
12 FAMILY OFFICES. WE'VE ALSO TALKED, MET WITH MAJOR  
13 FOUNDATIONS, WITH CORPORATIONS WHO HAVE AN INTEREST  
14 IN THE MEDICAL RESEARCH SPACE, AND WITH NUMEROUS  
15 THIRD-PARTY INTERMEDIARIES OF THE KIND I DESCRIBED  
16 EARLIER.

17 THE WAY IT SORT OF IS BROKEN DOWN, BEEN  
18 LOOKING AT FUNDING EITHER CIRM GENERALLY OR WITH  
19 RESPECT TO SPECIFIC PROJECTS, WHICH I'VE SORT OF  
20 TAKEN THE LEAD ON. MARIA MILLAN HAS DONE A LOT OF  
21 GREAT WORK IN DEVELOPING PROJECT-SPECIFIC RELATED  
22 ASKS FOR DIFFERENT INITIATIVES THAT CIRM EITHER HAS  
23 OR WOULD CONSIDER HAVING THAT WOULD GET THE  
24 FUNDRAISERS IN THE GAME.

25 TO DATE, AS YOU MIGHT EXPECT, A NUMBER OF

**BETH C. DRAIN, CA CSR NO. 7152**

1 THE MEETINGS THAT WE'VE HAD, THE PEOPLE WE HAVE  
2 TALKED TO HAVE, AS FAR AS THE PITCHES GO, EITHER  
3 DECLINED RESPECTFULLY, OTHERS ARE ONGOING AS WE  
4 SPEAK.

5 SINCE THE LAST BOARD MEETING, WE'VE  
6 CONTINUED OUR STRATEGY DISCUSSIONS OF THIRD-PARTY  
7 INTERMEDIARIES. WE'VE HAD SEVERAL APPROACHES TO  
8 SPECIFIC ULTRA HIGH NET WORTH INDIVIDUALS EITHER IN  
9 PERSON OR THROUGH THESE INTERMEDIARIES. AS BEFORE,  
10 A NUMBER OF THOSE HAVE DECLINED; HOWEVER, THERE ARE  
11 A NUMBER OF THOSE DISCUSSIONS WHICH ARE ONGOING.

12 WE'VE CALENDARED A NUMBER OF MEETINGS WITH  
13 POTENTIAL STAKEHOLDERS BETWEEN NOW AND THE NEXT  
14 BOARD MEETING, INCLUDING MEETINGS WITH MAJOR  
15 FOUNDATIONS, ULTRA HIGH NET WORTH, AGAIN, EITHER IN  
16 PERSON OR THROUGH THIRD-PARTY INTERMEDIARIES. IN  
17 ADDITION, WE HAVE TWO GROUP MEETINGS SIMILAR TO THE  
18 ONE WE HAD IN THE SUMMER WITH FAMILY OFFICES  
19 SCHEDULED FOR THE FIRST QUARTER, WHICH ARE ONE IN  
20 SAN DIEGO AND ONE IN LOS ANGELES.

21 SO THAT IS SORT OF WHERE WE ARE AT THIS  
22 POINT. WE CONTINUE TO LOOK FOR OUR ANCHOR INVESTOR.  
23 AND IF WE CAN DO THAT, THE STRATEGY IS WHEN YOU GET  
24 THE ANCHOR INVESTOR ON BOARD, THAT ANCHOR INVESTOR  
25 TYPICALLY HAS A NUMBER OF FRIENDS THAT HE OR SHE CAN

**BETH C. DRAIN, CA CSR NO. 7152**

1 THEN ROPE INTO THE FOLD.

2 WE'RE ALSO GOING TO FOCUS MORE BEYOND THE  
3 ANCHOR INVESTMENT TO THE SMALLER POTENTIAL GIFTS.  
4 WE'VE SPENT A GREAT DEAL OF OUR TIME ON THE ANCHOR  
5 EFFORT. AND WE WILL BE, AS WE HAVE SUCCESSES,  
6 REPORTING IN REAL TIME BACK TO THE BOARD AND IN THE  
7 NEXT IN-PERSON MEETING IN MARCH. SO THAT'S A REVIEW  
8 OF THE YEAR.

9 ARE THERE QUESTIONS? THANK YOU. THAT IS  
10 MOST DEFINITELY A SUBJECT TO BE CONTINUED AND  
11 CONTINUED AND CONTINUED.

12 WE'VE HAD A NUMBER OF ISSUES THAT ARE SORT  
13 OF MACRO ISSUES THAT HAVE CONTINUED TO CROP UP IN  
14 THE LAST COUPLE MONTHS SINCE OUR LAST BOARD MEETING.  
15 WE'VE DISCUSSED THIS WHOLE NOTION OF STEM CELL  
16 TOURISM AND THE PROBLEMS SURROUNDING THAT BOTH IN  
17 CALIFORNIA AND BEYOND. I DID AN INTERVIEW FOR CBS  
18 ON THAT SUBJECT THAT WAS AN INVESTIGATIVE REPORT  
19 DONE DOWN IN LOS ANGELES THAT GOT A REASONABLE  
20 AMOUNT OF AIRING. HEARD FROM A NUMBER OF PEOPLE.

21 ANOTHER THING THAT'S COME UP IS A MACRO  
22 ISSUE WHICH FUNDAMENTALLY APPLIES TO WHAT CIRM DOES  
23 IS THIS NOTION OF THE ADMINISTRATION PUTTING A BAN  
24 ON NIH WITH RESPECT TO FETAL TISSUE. AND I'VE ASKED  
25 MR. SHEEHY IF HE WOULD COMMENT ON THIS BECAUSE HE'S

**BETH C. DRAIN, CA CSR NO. 7152**

1 BEEN OUT IN FRONT ON THIS ISSUE AND HAS A GREAT  
2 QUOTE FOR THOSE OF YOU WHO HAVEN'T SEEN THE MOST  
3 RECENT BLOG ON THE SUBJECT. I'VE ASKED HIM IF HE  
4 WOULD COMMENT ABOUT THIS AND GIVE HIS PERSPECTIVE.  
5 MR. SHEEHY.

6 MR. SHEEHY: THANK YOU, CHAIRMAN THOMAS.  
7 WHAT REALLY SEEMS STRANGE IS THAT THE PARTICULAR  
8 TARGET, THE TWO IMMEDIATE PROJECTS THAT HAVE BEEN  
9 TARGETED, ARE BOTH HIV RELATED, WHICH DOESN'T  
10 NECESSARILY MAKE SENSE BECAUSE CERTAINLY FETAL  
11 TISSUE RESEARCH ISN'T JUST LIMITED TO HIV. IT'S  
12 UNFORTUNATE THAT THE ONE PROJECT AT UCSF IS ONE  
13 WHICH HAS LED TO THE DEVELOPMENT OF SEVERAL  
14 ANTIRETROVIRAL MEDICATIONS. AND I BELIEVE -- I  
15 DON'T KNOW IF IT WAS IN IRV WEISSMAN'S LAB -- BUT I  
16 THINK THESE MICE ARE ACTUALLY -- I THINK IT'S IRV'S  
17 LAB. I KNOW THAT DEVELOPING THESE MICE OF HUMAN  
18 IMMUNE SYSTEMS HAVE PLAYED A CRITICAL ROLE, NOT ONLY  
19 IN DEVELOPING HIV MEDICATIONS, BUT IN DEVELOPING  
20 VACCINES. I THINK IN MANY, MANY OF OUR PROJECTS, WE  
21 USE THESE MICE TO TEST THE IMMUNOGENICITY OF THESE  
22 CELL THERAPIES.

23 I THINK IT REALLY IS EXTREMELY UNFORTUNATE  
24 THAT THIS IS HAPPENING RIGHT NOW, AND IT IS REALLY,  
25 I THINK, A FAIRLY STARK THREAT TO THE HEALTH OF

**BETH C. DRAIN, CA CSR NO. 7152**

1 MILLIONS OF AMERICANS. CERTAINLY ANY NEW VIRAL  
2 EPIDEMIC, WE WOULD NEED THESE MICE IN ORDER TO  
3 DEVELOP A VACCINE. THEY'RE USING THESE MICE TO  
4 DEVELOP VACCINES FOR EBOLA.

5 SO IT REALLY IS MYSTIFYING TO ME HOW -- IT  
6 SEEMS TO BE THE PERSON IN CHARGE OF THIS PARTICULAR  
7 EFFORT SEEMS TO BE A RESPECTED SCIENTIST AND SOME  
8 ENGAGEMENT WITH THE BIOTECH FIELD, AND IT JUST  
9 DOESN'T MAKE ANY SENSE. THIS REALLY GOES TO THE  
10 HEART OF WHY CIRM WAS ESTABLISHED WAS PRECISELY TO  
11 DEAL WITH TRULY ANTISCIENCE ACTIVITIES THAT COME OUT  
12 OF MISGUIDED FEDERAL ACTION. AND I HOPE THAT THIS  
13 GETS REVERSED, BUT I ALSO THINK THAT WE SHOULD BE  
14 PREPARED TO STEP UP WHERE WE CAN TO FILL THIS GAP  
15 BECAUSE THIS RESEARCH IS ABSOLUTELY VITAL.

16 CHAIRMAN THOMAS: THANK YOU, MR. SHEEHY.  
17 ARE THERE ANY COMMENTS ANYBODY WANTS TO ADD ON THAT  
18 PARTICULAR TOPIC?

19 I THINK THE OBVIOUS CONCERN THAT FOLLOWS  
20 FROM THIS IS TO WHETHER OR NOT THE ADMINISTRATION IS  
21 GOING TO GO BEYOND THAT TO TAKE A POSITION ON  
22 FUNDING AT NIH FOR STEM CELL RESEARCH IN GENERAL,  
23 WHICH WE'VE SORT OF BEEN THERE, DONE THAT IN THE  
24 PAST, AND WHAT THE IMPLICATIONS OF THAT WOULD BE FOR  
25 THE FIELD, WHICH WOULD BE VERY SIGNIFICANT AND

**BETH C. DRAIN, CA CSR NO. 7152**

1 HIGHLY DETRIMENTAL. SO WE'LL JUST KEEP AN EYE OUT  
2 ON THAT.

3 THE NEXT THING I WANTED TO TALK ABOUT IS,  
4 AS YOU WILL HEAR MORE FROM DR. PATEL DURING THE  
5 PRESIDENT'S REPORT, WE HAVE AN INCREASINGLY ROBUST  
6 EFFORT TO REACH OUT TO ENGAGE INDUSTRY TO FORM  
7 ALLIANCES WITH OUR INVESTIGATORS, WHETHER THEY'RE IN  
8 ACADEMIA OR WITH COMPANIES OR WHATEVER, IN THE FORM  
9 OF SOMETHING CALLED OUR INDUSTRY ALLIANCE PROGRAM OR  
10 IAP.

11 I'VE HAD A COUPLE OF MEETINGS WITH  
12 PROMINENT VENTURE FUNDS THAT ARE NOW GETTING MORE  
13 BACK INTO THE CELLULAR THERAPY SPACE, WHICH WE  
14 DISCUSSED YESTERDAY, WE'RE GOING TO SUGGEST THEY  
15 CONSIDER THE IAP. AND DR. PATEL WILL DESCRIBE MORE  
16 WHAT THE IAP DOES IN GENERAL. BUT FOR THE PURPOSES  
17 OF THIS REPORT, WHAT I THOUGHT WOULD BE INTERESTING,  
18 BECAUSE WE REALLY HAVEN'T HAD THE BENEFIT OF THE  
19 COMMENT, IS THERE'S A VERY MAJOR EFFORT TO DEVELOP  
20 BIOTECH IN GENERAL THROUGHOUT THE STATE AND CELLULAR  
21 THERAPY-RELATED BIOTECH SPEARHEADED BY JOE PANETTA  
22 AND HIS ORGANIZATION. I THOUGHT IT WOULD BE HELPFUL  
23 FOR THE BOARD TO HAVE JOE SPEAK FOR A FEW MINUTES ON  
24 WHAT HE'S DOING BECAUSE IT DEFINITELY JIVES WITH  
25 THIS WHOLE NOTION OF INDUSTRY ENGAGEMENT. MR.

**BETH C. DRAIN, CA CSR NO. 7152**

1 PANETTA.

2 MR. PANETTA: THANK YOU, MR. CHAIRMAN. I  
3 WANT TO BEGIN BY THANKING YOU FOR SERVING ON OUR  
4 ADVISORY COMMITTEE IN THE NASCENT, BUT QUICKLY  
5 GROWING LIFE SCIENCE COMMUNITY IN LOS ANGELES. JUDY  
6 HAS DONE THE SAME. SO WE APPRECIATE THE SERVICE  
7 THAT YOU'VE PUT INTO THAT.

8 SO J.T. ASKED ME TO DO THIS THIS MORNING,  
9 AND I SAID THIS MAKE SENSE. I'VE BEEN ON THIS BOARD  
10 FOR A WHILE, BUT MAYBE SOME OF YOU WONDERED WHAT  
11 DOES THIS GUY DO BESIDES SHOWING UP FOR MEETINGS.  
12 SO I'VE RUN AN ORGANIZATION CALLED BIOCOM, WHICH IS  
13 ACTUALLY SHORTHAND FOR WHAT WAS CREATED AS THE SAN  
14 DIEGO BIOCOMMERCE ASSOCIATION WAY BACK IN 1995,  
15 WHICH WAS ALSO AN OUTGROWTH OF AN EARLIER  
16 ORGANIZATION THAT WAS CREATED IN SAN DIEGO IN THE  
17 LATE '80S CALLED THE BIOMEDICAL INDUSTRY COUNCIL.  
18 SO ALL THIS COINCIDED WITH THE EARLY STAGE GROWTH OF  
19 THE LIFE SCIENCE COMMUNITY DOWN IN SAN DIEGO.

20 AND THE IDEA BEHIND BIOCOM AT THE TIME WAS  
21 TO BEGIN TO ENGAGE WITH ELECTED AND APPOINTED  
22 OFFICIALS TO MAKE THEM FAMILIAR WITH THE WORK THAT  
23 WE WERE BEGINNING TO DO IN THE LIFE SCIENCE INDUSTRY  
24 AND THE ECONOMIC DRIVER THAT WE EXPECTED THAT THE  
25 LIFE SCIENCE COMMUNITY WOULD BECOME IN THE YEARS



**BETH C. DRAIN, CA CSR NO. 7152**

1 BEYOND THOSE EARLY STAGES OF CREATING THE  
2 ORGANIZATION.

3 SO WE FLASH FORWARD TO TODAY. BIOCOM IS  
4 NOW SHORTHAND FOR WHAT WE REFER TO AS THE LIFE  
5 SCIENCE ASSOCIATION OF CALIFORNIA WITH 1200 MEMBER  
6 COMPANIES AND ACADEMIC AND RESEARCH INSTITUTIONS AND  
7 SERVICE PROVIDERS ACROSS THE STATE AND OFFICES IN  
8 NOT ONLY SAN DIEGO, BUT ABOUT TWO YEARS AGO AN  
9 OFFICE THAT WE OPENED IN LOS ANGELES, AND IN  
10 SEPTEMBER AN OFFICE THAT WE OPENED UP HERE IN SAN  
11 FRANCISCO, A LOBBYING OFFICE IN SACRAMENTO, ANOTHER  
12 LOBBYING OFFICE IN WASHINGTON D.C. AND A MEMBERSHIP  
13 OFFICE IN TOKYO, WHICH SERVES ABOUT 50 OF OUR  
14 INTERNATIONAL MEMBERS THERE.

15 BIOCOM HAS A VERY-WELL DEFINED,  
16 STRAIGHTFORWARD MISSION. IT'S SIMPLY TO ACCELERATE  
17 THE SUCCESS OF THE LIFE SCIENCE COMMUNITY HERE IN  
18 CALIFORNIA. AND WE DO THAT IN FIVE WAYS. THE  
19 ADVOCACY WORK THAT WE DO FOR THE INDUSTRY, PUBLIC  
20 POLICY AND LOBBYING WORK, CAPITAL FORMATION IN  
21 HELPING COMPANIES TO RAISE VENTURE CAPITAL, ANGEL  
22 CAPITAL, AND TO CONNECT WITH PARTNERS IN THE  
23 BIOPHARMACEUTICAL INDUSTRY TO HOPEFULLY CREATE  
24 LICENSING AND BUSINESS PARTNERSHIPS BETWEEN THEM.  
25 TO DEVELOP THE WORKFORCE IN CALIFORNIA THROUGH THE

**BETH C. DRAIN, CA CSR NO. 7152**

1 BIOCOM INSTITUTE, WHICH WORKS WITH UNIVERSITIES AND  
2 HIGH SCHOOLS AND ALSO PROVIDES SHORT-TERM TRAINING  
3 PROGRAMS FOR EMPLOYEES. A FOR-PROFIT SUBSIDIARY  
4 CALLED THE BIOCOM PURCHASING GROUP THAT DOES ABOUT  
5 \$150 MILLION IN SALES THROUGH CONTRACTS THAT WE HAVE  
6 WITH LAB SUPPLIES VENDERS AND OTHERS WHO PROVIDE  
7 SERVICES TO THE LIFE SCIENCE COMMUNITY. AND THEN  
8 FINALLY ABOUT 120 OR SO DIFFERENT TYPES OF  
9 CONFERENCES AND EVENTS THAT WE DO THROUGHOUT THE  
10 YEAR TO BRING INDUSTRY TOGETHER.

11 I THINK OUR MOST SIGNIFICANT START IN  
12 LOBBYING WAS IN 2004 WHEN BOB KLEIN CAME TO TALK TO  
13 US ABOUT WHAT WAS THEN PROPOSITION 71. AND WE  
14 ENGAGED THROUGH A LOT OF EFFORT BY A FORMER, NOW  
15 DECEASED, MEMBER OF THIS COMMITTEE, DWAYNE ROTH, WHO  
16 WAS ON OUR BOARD AT THE TIME AND REALLY PUSHED US TO  
17 GET BEHIND THE INITIATIVE TO CREATE A STEM CELL  
18 AGENCY IN CALIFORNIA.

19 BUT OUR CURRENT MISSION IS REALLY TO BRING  
20 TOGETHER CALIFORNIA, TO BRING TOGETHER LIFE SCIENCE  
21 IN CALIFORNIA, AND BUILD BRIDGES BETWEEN THE VARIOUS  
22 LIFE SCIENCE COMMUNITIES AND AN ECONOMY HERE IN  
23 CALIFORNIA THAT IS CLEARLY THE LARGEST LIFE SCIENCE  
24 ECONOMY IN THE WORLD. WE ENGAGE IN ABOUT \$320  
25 BILLION IN ECONOMIC ACTIVITY IN LIFE SCIENCE. WE'VE

**BETH C. DRAIN, CA CSR NO. 7152**

1 GOT ROUGHLY 12,000 LIFE-SCIENCE RELATED COMPANIES  
2 THAT WORK IN AREAS SUCH AS MEDICAL DEVICES,  
3 PROVIDING THE TOOLS THAT COMPANIES WORK WITH,  
4 BIOMANUFACTURING, BIORENEWABLES, AND  
5 BIOPHARMACEUTICALS, AND WE EMPLOY ROUGHLY 320,000  
6 PEOPLE IN THE LIFE SCIENCE INDUSTRY HERE.

7 SO IT'S BECOMING A HUGE, HUGE DRIVER  
8 WITHIN THE STATE, ESPECIALLY WITH THE GROWTH NOW OF  
9 LOS ANGELES. AND WHAT I'VE FOUND IN THE TWO YEARS  
10 THAT WE'VE BEEN IN LOS ANGELES IS THAT THERE'S AN  
11 INCREDIBLE WILL ON THE PUBLIC SIDE AND THE PRIVATE  
12 SIDE IN L.A. TO CREATE A SUCCESSFUL LIFE SCIENCE  
13 COMMUNITY THAT I THINK HAS THE POTENTIAL IN THE  
14 YEARS TO COME TO BECOME EVERY BIT AS SUCCESSFUL AS  
15 SAN DIEGO AND SAN FRANCISCO AND CONTINUE TO GROW THE  
16 ECONOMY.

17 SO THAT'S WHAT WE DO. WE'RE COMPLETELY  
18 PRIVATELY FUNDED. WE DON'T RECEIVE ANY STATE OR  
19 FEDERAL OR CITY MONEY TO DO THE WORK THAT WORK WE  
20 DO. IT'S ALL MEMBER DUES AND SPONSORSHIP AND OTHER  
21 KINDS OF SERVICES THAT WE PROVIDE. THANK YOU.

22 CHAIRMAN THOMAS: THANK YOU VERY MUCH,  
23 JOE. IT WAS VERY HELPFUL. I HOPE THAT WAS  
24 INFORMATIVE FOR THE BOARD. I THINK IT'S IMPORTANT  
25 THAT THE INDUSTRY CONTINUE TO TAKE UP MAJOR STEAM TO

**BETH C. DRAIN, CA CSR NO. 7152**

1 UNDERSTAND WHAT THE ROLE IS THAT JOE'S ORGANIZATION  
2 IN HELPING TO MAKE THAT HAPPEN, WHICH IS VERY  
3 SIGNIFICANT. SO THANK YOU FOR YOUR WORK.

4 SO THAT CONCLUDES THE CHAIRMAN'S REPORT.  
5 WE'LL NOW TURN IT OVER TO DR. MILLAN FOR THE  
6 PRESIDENT'S REPORT.

7 DR. MILLAN: THANK YOU, MR. CHAIRMAN,  
8 MEMBERS OF THE BOARD, MEMBERS OF THE PUBLIC, AND  
9 CIRM TEAM. IT'S MY PLEASURE TO GIVE THE YEAR-END  
10 UPDATE FOR CIRM. AND AS WITH ANY PRESENTATION, WE  
11 POST THE MISSION, WHICH IS TO ACCELERATE STEM CELL  
12 TREATMENTS TO PATIENTS WITH UNMET MEDICAL NEEDS.  
13 THE MISSION HAS REALLY SERVED TO FOCUS US AND IS  
14 SOMETHING WE CAN POINT TO AND LOOK TO WHENEVER WE DO  
15 ANYTHING AT CIRM, AND THAT'S BEEN EXTREMELY HELPFUL.

16 JUST FOR A SUMMARY OF WHAT CIRM  
17 INVESTMENTS HAVE BEEN TO DATE, THE TOP LINE OF THE  
18 SLIDE INDICATES THE AMOUNT OF AWARDS FOR THE FIVE  
19 PILLARS THAT CIRM HAS FUNDED: FOR INFRASTRUCTURE,  
20 ALMOST 500 MILLION; EDUCATION, A LITTLE BIT OVER 200  
21 MILLION; DISCOVERY, ALMOST 900 MILLION; TRANSLATION,  
22 300; AND CLINICAL, 500, ALMOST 600 MILLION IN TOTAL.

23 AND THIS IS INCLUDING THE 2018 INVESTMENTS  
24 THUS FAR. AND AS YOU CAN SEE, THE SHIFT IN  
25 INVESTMENTS HAS BEEN TOWARD LATER STAGE PROGRAMS IN

**BETH C. DRAIN, CA CSR NO. 7152**

1 TRANSLATIONAL AND CLINICAL, CONSISTENT WITH OUR  
2 STRATEGIC PLAN AND CONSISTENT WITH DISCUSSIONS THAT  
3 HAVE OCCURRED HERE IN THIS MEETING.

4 THE BUDGET AS OF JANUARY 1, 2019, IN THE  
5 BIG BUCKET AND LITTLE BUCKET IN THE RESEARCH AND  
6 ADMINISTRATION ARE POSTED HERE. WE HAVE JUST A  
7 LITTLE BIT OVER A \$140 MILLION LEFT TO ALLOCATE TO  
8 RESEARCH PROGRAMS AND JUST A LITTLE BIT UNDER 40  
9 MILLION LEFT FOR ADMINISTRATIVE COSTS.

10 AS YOU KNOW, WE HAVE BEEN EXECUTING AND  
11 OPERATING ON A TRANSITION PLAN THAT WAS PRESENTED TO  
12 THIS BOARD. AND AS THE CHAIRMAN HAS STATED EARLIER,  
13 THE ADMINISTRATIVE FUND WILL ALLOW US TO CONTINUE,  
14 REGARDLESS OF THE 2020 OUTCOME, TO ADMINISTER THE  
15 PROGRAMS THAT WE FUND.

16 JUST AS A REMINDER, THIS WAS APPROVED AT  
17 THE LAST BOARD MEETING. THIS IS THE 2019 BUDGET  
18 ALLOCATION FOR THE RESEARCH FUNDS FOR THAT 140  
19 MILLION THAT'S LEFT. THE MAJORITY OF IT WILL BE FOR  
20 CLINICAL PROGRAMS. AND AS YOU WILL RECALL, WE HAVE  
21 ENGAGED IN A VERY LANDMARK PARTNERSHIP WITH THE NIH  
22 FOR A CURE SICKLE CELL INITIATIVE, AND THIS BOARD  
23 HAS APPROVED SETTING ASIDE \$30 MILLION THAT CAN  
24 MATCH NIH FUNDS TO FUND THOSE CURATIVE INITIATIVES.

25 AND THEN WE HAVE 20 MILLION FOR

**BETH C. DRAIN, CA CSR NO. 7152**

1 TRANSLATIONAL, AND UNFORTUNATELY CURRENTLY, UNTIL WE  
2 GET BRIDGE FUNDING, WE DON'T HAVE ANY BUDGETED FOR  
3 DISCOVERY. WE DO HAVE EDUCATIONAL CONFERENCES THAT  
4 ARE ALREADY PLANNED AND ARE CRITICAL THAT WILL  
5 CONTINUE TO BE FUNDED.

6 SO I'M JUST GOING TO GO OVER WHERE WE ARE  
7 WITH THE STRATEGIC PLAN, AND THEN THE INTERESTING  
8 PART IS LATER. AFTER THIS TALK, I'LL BE INTRODUCING  
9 OUR TEAM MEMBERS WHO WILL BE UPDATING YOU ON HOW WE  
10 WERE ABLE TO ACHIEVE THIS.

11 SO JUST AS A REMINDER, WE CALL THIS THE  
12 BIG SIX. IN 2016 WE LAUNCHED THE STRATEGIC PLAN.  
13 THE OVERALL GOAL OF THE PLAN WAS TO INCREASE THE  
14 PROBABILITY OF SUCCESS OF STEM CELL REGENERATIVE  
15 MEDICINE THERAPIES TO GET TO PATIENTS. AND TO DO SO  
16 WE HAD GOALS TO INCREASE OUR PIPELINE, TO ACCELERATE  
17 BY ENACTING A NEW REGULATORY PARADIGM, BY PUTTING  
18 PROCESSES AND PRINCIPLES IN PLACE THAT INCREASE THE  
19 PROBABILITY THAT PROGRAMS WILL PROGRESS ALONG AND  
20 HIT THE CLINICS, AND THEN BY HAVING STRONG CLINICAL  
21 PROGRAMS TO INCREASE INDUSTRY ENGAGEMENT AND PULL SO  
22 THAT THESE PROGRAMS, SO THAT THESE PRODUCTS CAN BE  
23 BROUGHT TO MARKET AND TO PATIENTS.

24 SO I'M JUST SHOWING YOU WHERE WE ARE IN  
25 2018, YEAR THREE, OF THE STRATEGIC PLAN FOR THESE

**BETH C. DRAIN, CA CSR NO. 7152**

1 BIG SIX.

2 IN TERMS OF BUILDING THE PIPELINE, WE HAD  
3 A GOAL OF 50 NEW CANDIDATES IN FIVE YEARS, SO WE'RE  
4 A LITTLE BIT AHEAD OF THE CURVE, AND 36 NEW  
5 CANDIDATES. GIVEN THE SHIFT IN OUR ALLOCATION, WITH  
6 NO DISCOVERY PROGRAMS TO BE FUNDED GOING FORWARD, AS  
7 WELL AS A DECREASED AMOUNT FOR TRANSLATIONAL  
8 PROGRAMS, WE WILL RELY ON SOME INTERNAL PROGRAMS TO  
9 CONTINUE TO BUILD THE PIPELINE BY PROGRESSING TO THE  
10 NEXT STAGE, SO-CALLED PROGRESSION EVENTS. THE GOOD  
11 NEWS IS OUR PROGRESSION EVENTS ARE UP BY 110  
12 PERCENT, WHICH FAR EXCEEDS THE GOAL OF INCREASING  
13 PROGRESSION EVENTS BY 50 PERCENT.

14 IN TERMS OF NEW REGULATORY PARADIGM, IN  
15 THE LAST MEETING DR. ABLA CREASEY GAVE AN UPDATE IN  
16 TERMS OF THE NEW PARADIGM THAT WAS MADE POSSIBLE BY  
17 THE 21ST CENTURY CURES ACT, IN WHICH THE FDA  
18 UNDERWENT AN OVERHAUL THAT WOULD ALLOW STEM CELL  
19 REGENERATIVE MEDICINE THERAPIES TO ACCESS AN  
20 EXPEDITED PATHWAY CALLED THE REGENERATIVE MEDICINE  
21 ADVANCED THERAPY DESIGNATION, WHICH INCREASES THE  
22 PROBABILITY OF SUCCESS OF PROGRAMS THAT ARE MAKING  
23 PROGRESS TO GET TO APPROVAL AND THEN  
24 COMMERCIALIZATION, PROVIDED THAT THEY MEET THE  
25 STANDARDS.

**BETH C. DRAIN, CA CSR NO. 7152**

1 BY ACTIVE ENGAGEMENT AND FREQUENT  
2 ENGAGEMENT WITH THE FDA, THAT IS THE MEANS BY WHICH  
3 WE CAN INCREASE THAT. WHERE CIRM PLAYS A ROLE IS WE  
4 ALSO HAVE ENGAGEMENT WITH THE FDA SO WE'RE ABLE TO  
5 ASSIST OUR PORTFOLIO PROGRAMS IN NAVIGATING THOSE  
6 WATERS. SO THE THERAPEUTICS TEAM UNDER DR. ABLA  
7 CREASEY'S LEADERSHIP HAS THE BENEFIT OF HAVING FIVE  
8 OF OUR PROGRAMS WITH THIS EXPEDITED PATHWAY  
9 DESIGNATION, WHICH IS REMARKABLE CONSIDERING THERE  
10 MAY BE 27 OR SO TOTAL IN THE COUNTRY.

11 THE NEXT GOAL OF ACCELERATING DEVELOPMENT  
12 WAS TO INCREASE OR CUT THE TIME IN HALF OF  
13 DEVELOPMENT CANDIDATES GETTING TO THE CLINICS,  
14 CUTTING IT DOWN TO FOUR YEAR. IN ORDER TO DO THAT,  
15 WHAT WE DID WAS SET GOALS IN TERMS OF HOW LONG OUR  
16 TRANSLATIONAL PROGRAMS WOULD GO AND HOW LONG OUR  
17 CLINICAL 1 PROGRAMS, WHICH ARE THE IND-ENABLING  
18 STAGE. AND BY BEING ABLE TO SET THOSE GOALS AND BY  
19 HAVING PROGRAM ANNOUNCEMENTS THAT INCORPORATE WITHIN  
20 IT CERTAIN CRITERIA IN TERMS OF READINESS, AND  
21 THROUGH ACTIVE ENGAGEMENT WITH OUR TEAM, WE HAVE HAD  
22 THE OPPORTUNITY TO DECREASE THE TIME FOR PROGRAMS TO  
23 GET TO AN IND FOR THE CLINICAL 1 PROGRAMS. AND FOUR  
24 OF OUR PROGRAMS HAVE ADVANCED AND OBTAINED AN IND  
25 WITH AN AVERAGE OF 17 MONTHS, WHICH IS A VERY



**BETH C. DRAIN, CA CSR NO. 7152**

1     REMARKABLE ACCOMPLISHMENT.   AND THAT'S WITH ACTIVE  
2     ENGAGEMENT BY OUR TEAM AS WELL AS OUR CLINICAL  
3     ADVISORY PANEL AND OTHER RESOURCES FROM OUR  
4     INFRASTRUCTURE.   AND YOU WILL HEAR MORE FROM  
5     DR. CREASEY ABOUT HOW THAT HAPPENS.

6             IN TERMS OF EXPANDING OUR CLINICAL  
7     PORTFOLIO, OUR GOAL WAS 50 NEW CLINICAL TRIALS IN  
8     FIVE YEARS, 50 NEW CLINICAL TRIALS THAT WOULD BRING  
9     US UP TO 67 TOTAL CLINICAL TRIALS THAT CIRM WOULD  
10    HAVE FUNDED WITH THE PROPOSITION 71 FUNDS.   AND SO  
11    IN YEAR THREE, WE'VE ADDED 32 NEW CLINICAL TRIALS,  
12    BRINGING OUR TOTAL TO 49 TRIALS THAT HAVE BEEN  
13    FUNDED BY CIRM IN ITS HISTORY.   YOU WILL BE  
14    PRESENTED WITH A GWG RECOMMENDATION FOR A POTENTIAL  
15    50TH TRIAL TODAY.

16            AND THEN IN TERMS OF THE FINAL GOAL OF THE  
17    BIG SIX, INCREASING INDUSTRY PULL.   WHEN WE FIRST  
18    ROLLED OUT THE STRATEGIC PLAN WITH MY PREDECESSOR,  
19    DR. RANDY MILLS, THERE WAS AN ABSOLUTE LACK OF  
20    INDUSTRY ENGAGEMENT.   THERE WERE VERY FEW PROGRAMS  
21    THAT WERE GETTING PARTNERSHIPS AND SIGNIFICANT  
22    INVESTMENT INTO THEM.   AND SO WE'VE BEEN FORTUNATE  
23    THAT TO DATE WITH OUR GOAL OF 50 PERCENT OF OUR  
24    CLINICAL PROGRAMS BEING PARTNERED, WE HAVE 60  
25    PERCENT OF OUR CLINICAL PROGRAMS PARTNERED.   AND

**BETH C. DRAIN, CA CSR NO. 7152**

1 JUST THIS YEAR ALONE, AND DR. SHYAM PATEL WILL GIVE  
2 MORE OF A BREAKDOWN OF THIS, BUT JUST THIS YEAR  
3 ALONE THERE HAVE BEEN \$1.06 BILLION OF INDUSTRY  
4 INVESTMENT INTO OUR PROGRAMS BY WAY OF IPO'S,  
5 LICENSING, AND FOLLOW-ON SERIES INVESTMENTS.

6 AND WHAT HAS THIS RESULTED IN? OUR END  
7 POINT IS TO INCREASE THE NUMBER OF PROGRAMS THAT CAN  
8 GET TO THE CLINICS. THE WHOLE GOAL IS GET THESE TO  
9 THE CLINICS IN ORDER TO GET THEM TO PATIENTS. THEY  
10 NEED TO GO THROUGH THE CLINICAL TRIAL, A REGULATED,  
11 HIGH-QUALITY CLINICAL TRIAL. AND WE HAVE 49 TRIALS.  
12 AND, AS YOU CAN SEE, YOU'VE SEEN THIS SLIDE BEFORE,  
13 THIS IS A VARIETY OF DISEASE INDICATIONS IN A  
14 VARIETY OF ORGAN SYSTEMS AND WITH A VARIETY OF  
15 PLATFORMS UTILIZING STEM CELL REGENERATIVE MEDICINE.

16 AND THE GREAT THING ABOUT THIS IS, AS YOU  
17 KNOW, IT'S ON OUR WEBSITE, IT'S INTERACTIVE, SO YOU  
18 CAN GET UPDATES. THIS HAS BEEN -- KUDOS TO THE  
19 COMMUNICATIONS TEAM UNDER MARIA BONNEVILLE, KEVIN  
20 MCCORMACK, THAT THIS IS SOMETHING THAT'S ACCESSIBLE  
21 TO THE PUBLIC. IT IS SOMETHING WE'RE REPORTING TO  
22 THE PUBLIC CONTINUOUSLY BECAUSE THEY CAN ACCESS THIS  
23 INFORMATION, KNOW WHAT TRIALS HAVE BEEN FUNDED, HOW  
24 MUCH THEY RECEIVED, GET SOME UPDATES ON PRESS  
25 RELEASES AND DESCRIPTION, AND IT LINKS YOU TO THE

**BETH C. DRAIN, CA CSR NO. 7152**

1 NIH CLINICALTRIALS.GOV.

2 AND THE CIRM-FUNDED CLINICAL TRIALS ARE  
3 CURRENTLY ONGOING. IT'S HAPPENING NOW. SO  
4 ENROLLMENT IS ALMOST AT 1200 DUE TO CIRM FUNDING.  
5 IN TERMS OF THE TOTAL ENROLLED IN THE TRIALS  
6 THEMSELVES, IT MAY BE GREATER. IT'S JUST THESE ARE  
7 THE ONES THAT WERE FUNDED BY CIRM IN TERMS OF EITHER  
8 CALIFORNIA PARTICIPANTS IN THE TRIAL OR CALIFORNIA  
9 COMPANIES THAT HAVE ENROLLED PATIENTS INTO CLINICAL  
10 TRIALS.

11 AND SO NOW WE GET TO THE MORE INTERESTING  
12 PART OF THE PRESENTATION. I'M GIVING YOU KIND OF  
13 THE OUTPUT, AND THIS IS NOW THE HOW TO. AND I CALL  
14 THIS INTEL INSIDE, BECAUSE IF YOU KNOW THE HISTORY  
15 OF INTEL, IT WASN'T UNTIL PEOPLE REALIZED THAT  
16 MICROPROCESSORS AND EVERYTHING ELSE THAT WERE INSIDE  
17 THE COMPUTERS, EVERYBODY JUST SAW THE COMPUTERS AND  
18 SAW THE OUTPUT, OUT REALLY -- SO THEY WERE HAVING  
19 PROBLEMS GETTING ENOUGH TRACTION; BUT WHEN THEY  
20 REALIZED THAT THEY NEEDED TO JUST TELL PEOPLE THERE  
21 IS INTEL INSIDE THAT MAKES ALL THIS RUN, THAT'S WHEN  
22 THEY GOT TRACTION AND NOW IS THE SECOND LARGEST FIRM  
23 OUT THERE.

24 BUT IN ANY CASE, OUR INTEL INSIDE OUR CIRM  
25 OPERATION, WE CALL THEM OUR VALUE PROPOSITION, HOW

**BETH C. DRAIN, CA CSR NO. 7152**

1 DO WE DO THINGS? AND IN ADDITION TO FUNDING THESE  
2 PROGRAMS, WE HAVE VERY CLEAR PARTNERSHIPS. WE'RE IN  
3 THE GAME WITH OUR GRANTEES, AND WE HAVE CRITICAL  
4 INFRASTRUCTURE TO HELP MOVE THE PROGRAMS ALONG. SO  
5 THE PRESENTATIONS YOU WILL BE HEARING NOW DESCRIBE  
6 SOME OF THIS.

7 DR. ABLA CREASEY, THE VP OF THERAPEUTICS  
8 AND STRATEGIC INFRASTRUCTURE; DR. SHYAM PATEL,  
9 ASSOCIATE DIRECTOR OF PORTFOLIO. AND THANK YOU,  
10 SHYAM PATEL, WHOSE TAKEN ON, ALONG WITH SOHIL TALIB,  
11 TAKEN ON THE ROLE OF BUSINESS DEVELOPMENT RECENTLY.  
12 AND THEY'VE BEEN DOING A SPECTACULAR JOB. THEY'RE  
13 VERY, VERY ADEPT AT HAVING WELL-INFORMED  
14 CONVERSATIONS WITH POTENTIAL PARTNERS. AND YOU WILL  
15 HEAR ABOUT THAT FROM SHYAM. AND THEN IMPROVING  
16 TRANSLATION, OF COURSE, OUR BELOVED PAT OLSON, WHO'S  
17 BEEN HERE, WE CALL HER OUR INSTITUTIONAL MEMORY, WHO  
18 HAS BEEN IN THE GAME WITH US ALL THE WAY THROUGH,  
19 AND SHE'LL BE GIVING YOU SOME VERY EXCITING UPDATES  
20 ON WHAT'S HAPPENED WITH OUR DISCOVERY AND SCIENTIFIC  
21 INFRASTRUCTURE PROGRAMS. AND THEN, FINALLY, KEVIN  
22 MCCORMACK WILL JUST GIVE YOU AN UPDATE IN TERMS OF  
23 HOW WE'RE COMMUNICATING OUR PROGRESS AND OUR  
24 PROGRAMS TO THE PUBLIC.

25 SO I'LL JUST PAUSE IN CASE THERE ARE ANY

**BETH C. DRAIN, CA CSR NO. 7152**

1 QUESTIONS. OTHERWISE I'LL TURN IT TO DR. CREASEY.  
2 THANK YOU.

3 DR. CREASEY: THANK YOU, MR. CHAIRMAN, THE  
4 BOARD, MARIA, AND THE PUBLIC FOR HAVING ME SPEAK  
5 ABOUT THE STRATEGIC INFRASTRUCTURE IN THE CLINICAL  
6 ARENA.

7 I TEND TO BE SOFT-SPOKEN MOST OF THE TIME.  
8 SO OUR STRATEGIC INFRASTRUCTURE CONSISTS OF THREE  
9 PROGRAMS: ALPHA CLINICS NETWORK, THE CIRM  
10 ACCELERATING AND TRANSLATING CENTER IN PARTNERSHIP  
11 WITH IQVIA CELL AND GENE THERAPY CENTER. IF THE  
12 NAME IQVIA SOUNDS UNFAMILIAR TO YOU, IT'S THE FOLKS  
13 WHO DID QUINTILES I.M.S. THEY JUST CHANGED THEIR  
14 NAME ONCE THEY MERGED. AND THE LAST WOULD BE THE  
15 CLINICAL ADVISORY PANEL.

16 SO I'M GIVING YOU A BRIEF UPDATE ON THE  
17 CIRM INFRASTRUCTURE PROGRAMS IN ACCELERATING  
18 DEVELOPMENT.

19 SO THE ALPHA CLINICS NETWORK IS REALLY A  
20 BIG ENGINE.

21 (THE HOST PHONE CONNECTION WAS LOST.  
22 PLEASE REFER TO THE SLIDE PRESENTATION ATTACHED TO  
23 THE ITEM IN THE AGENDA FOR FURTHER INFORMATION THAT  
24 WAS NOT REPORTED NOR HEREIN TRANSCRIBED. WITH A  
25 TEMPORARY HOST LINE REINSTATED, THE FOLLOWING WAS

**BETH C. DRAIN, CA CSR NO. 7152**

1 THEN HEARD AND REPORTED IN OPEN SESSION TO THE BEST  
2 OF THE REPORTER'S ABILITY TO HEAR AND UNDERSTAND THE  
3 TRANSMISSION:)

4 DR. OLSON: I'D NOW LIKE TO TALK ABOUT  
5 GENOMICS, TECHNOLOGY FOR BETTER DISCOVERY THAT  
6 SHOULD LEAD TO BETTER -- AND I WANT TO MAKE THE  
7 POINT THAT THE MISSION OF THIS GENOMICS PROGRAM WAS  
8 TO PROVIDE CORE CENTERS FOR EXPERTISE AND RESOURCES  
9 THROUGH THE DEVELOPMENT AND APPLICATION OF  
10 INNOVATIVE GENOMICS (INAUDIBLE) FUNDS FOR BIOLOGY  
11 AND REGENERATIVE MEDICINE.

12 THIS PROGRAM SUPPORTS OVER 20 CALIFORNIA  
13 LABS THAT ARE SUPPORTED BY THE SEQUENCING AND  
14 BIOINFORMATICS CENTER OF EXCELLENCE. THERE ARE TWO  
15 SEQUENCING CENTERS, ONE IN NORTHERN CALIFORNIA,  
16 THAT'S STANFORD, AND ONE IN SOUTHERN CALIFORNIA, JOE  
17 ECKHARDT AT UCSD. AND THEN THE BIOINFORMATICS  
18 CENTER (INAUDIBLE) IS JOSH STEWART AT THE  
19 (INAUDIBLE) GROUP IN SANTA CRUZ, WHICH IS REALLY  
20 WELL KNOWN FOR ALL OF THIS. SO WE HAVE SOME OF THE  
21 BEST EXPERTISE WORKING WITH A NUMBER OF LABS TO MAKE  
22 THIS HAPPEN.

23 UNIQUE FEATURES OF THIS GENOMICS PROGRAM,  
24 THE FOCUS REALLY IS HUMAN AS OPPOSED TO MANY  
25 INSTITUTIONS FOCUS ON MARINE OR MICE. AND IT'S

**BETH C. DRAIN, CA CSR NO. 7152**

1 HUMAN STEM AND PROGENITOR CELL FOCUSED. ALSO  
2 ANOTHER POINT IS METADATA AND BINARY ANALYSIS ON  
3 (INAUDIBLE).

4 WHAT THIS MEANS IS IT ALLOWS COMPARISON OF  
5 DATA BETWEEN LABS. SO IF YOU HAVE IT ALL IN A  
6 COMMON FORMAT, IT MAKES IT POSSIBLE TO COMPARE. FOR  
7 THIS NUMBER OF LABS AND THESE NUMBER OF  
8 INVESTIGATORS WORKING TOGETHER (INAUDIBLE.)

9 OKAY. ONE OF THE CRUCIAL OUTCOMES FROM  
10 THIS WILL BE DATA CENTRALIZED ONLINE FOR PUBLIC USE.  
11 SO YOU CAN SEE BY THOSE LITTLE CARTOONS IN THE  
12 TEXT --

13 DR. MARTIN: IS IT POSSIBLE TO TURN THE  
14 VOLUME UP SO THOSE OF US ON THE PHONE CAN HEAR?  
15 WE'RE CONNECTED, BUT THE VOLUME IS VERY LOW.

16 (THE OPERATOR THEN PROVIDED AN  
17 EXPLANATION FOR THE TECHNICAL ISSUES.)

18 DR. OLSON: -- THESE DATA FROM ALL OF  
19 THESE PROJECTS FEED INTO WHAT HAS BEEN CALLED THE  
20 STEM CELL HUB, WHICH WILL BE AN ONLINE, PUBLICLY  
21 ACCESSIBLE DATA RESOURCE THAT COLLECTS ALL THE DATA  
22 FROM THESE PROGRAMS AND INVESTIGATORS.

23 THE OTHER OUTPUT FROM THIS PROGRAM HAS  
24 BEEN BIOINFORMATICS (INAUDIBLE) DEVELOPMENT. THE  
25 KINDS OF DATA YOU GET WHEN YOU DO SINGLE CELL

**BETH C. DRAIN, CA CSR NO. 7152**

1 ANALYSIS IS REALLY PHENOMENAL. AND SO YOU NEED ALL  
2 THE TECHNOLOGIES IN ORDER TO EFFECTIVELY ANALYZE IT  
3 (INAUDIBLE). SO I LISTED A NUMBER OF THE  
4 TECHNOLOGIES THAT HAVE BEEN DEVELOPED (INAUDIBLE).  
5 AND I'M JUST GOING TO HIGHLIGHT ONE OR TWO OF THEM.  
6 I'M GOING TO TALK ABOUT THE INTERACTIVE SINGLE CELL  
7 ATLAS WHICH BASICALLY THEY'RE SINGLE CELL METADATA  
8 AND GENE EXPRESSION THERE FROM MULTIPLE FORMATS. SO  
9 IT TAKES IT FROM PEOPLE IN MULTIPLE WAYS AND PUTS IN  
10 A FORMAT THEY CAN ALL USE. BUT ALSO THIS IS TURNING  
11 OUT TO BE A VERY UNIQUE TOOL. THERE IS REALLY  
12 NOTHING QUITE LIKE IT. IT'S VERY POWERFUL, AND IT  
13 ACTUALLY ALREADY HAS VERY BROAD USE.

14 AND THE LAST SLIDE I'M GOING TO SHOW YOU  
15 IS JUST AN EXAMPLE OF HOW THAT WILL, NOT QUITE AN  
16 EXAMPLE BECAUSE IT'S NOT GOING TO BE INTERACTIVE ON  
17 THE SCREEN. AND THEN THE OTHER ONE I'M GOING TO  
18 HIGHLIGHT IS THE (INAUDIBLE) TOOL WHICH IS A MACHINE  
19 LEARNED PLATFORM TO PROTECT -- BETTER PREDICT CELL  
20 MARKERS. AND THIS IS FROM A GRANTEE OF THIS PROGRAM  
21 WHO'S AT THE J. CRAIG VENTER INSTITUTE DOWN IN SAN  
22 DIEGO. AND WHAT THIS DOES IS IT ALLOWS YOU  
23 (INAUDIBLE) CAN BE VERY VALUABLE IN THE  
24 CHARACTERIZATION AND (INAUDIBLE) DIFFERENTIATION  
25 (INAUDIBLE) HELPING TO DESCRIBE A CELL TYPE. SO



**BETH C. DRAIN, CA CSR NO. 7152**

1 THIS PARTICULAR PLATFORM IS PRETTY EXCITING.

2 AND JUST TO GIVE YOU AN EXAMPLE, I

3 THINK --

4 (INAUDIBLE COMMENT FROM UNIDENTIFIED  
5 SPEAKER.)

6 DR. OLSON: SO WHAT I JUST WANTED TO SHOW  
7 HERE, I THINK ABOUT 33 PAPERS, PUBLICATIONS HAVE  
8 BEEN VAULTED TO DATE, AND THIS IS ACTUALLY A  
9 CRITICAL COMPONENT ANALYSIS PLOT OF A SINGLE CELL  
10 TRANSCRIPTOME ANALYSIS OF (INAUDIBLE) HERE AT UCSF.  
11 ALTHOUGH I WON'T BE ABLE TO DO IT FOR YOU, IF YOU  
12 WERE A SCIENTIST AND YOU WERE ONLINE, YOU PUT ON ONE  
13 OF THOSE DOTS WHICH REPRESENTS A CELL, WHAT THE  
14 SINGLE CELL VIEWER WOULD ALLOW YOU TO DO IS GIVE YOU  
15 ALL THE METADATA ASSOCIATED WITH THAT PARTICULAR  
16 CELL AS WELL AS GENE EXPRESSION.

17 (INAUDIBLE.)

18 WE HOPE THAT ALL OF THESE TOOLS WILL HELP  
19 GIVE US INSIGHTS INTO THE BIOLOGY, WILL ALLOW US TO  
20 PROPOSE NEW AND BETTER (INAUDIBLE), AND WILL ALLOW  
21 US (INAUDIBLE) MORE EFFECTIVELY (INAUDIBLE).

22 CHAIRMAN THOMAS: -- TREMENDOUS VARIATION  
23 OF THE THINGS THEY'RE GOING AFTER, BUT (INAUDIBLE)  
24 GOING TO MAKE AVAILABLE TO THE PUBLIC FOR RESEARCH  
25 IN ALL OF THESE AREAS IS GREAT.

**BETH C. DRAIN, CA CSR NO. 7152**

1 DR. OLSON, I HAVE ONE QUICK QUESTION ON  
2 THE TABS. (INAUDIBLE) SO FAR (INAUDIBLE) QUITE A  
3 PORTFOLIO (INAUDIBLE).

4 DR. OLSON: THE WAY THAT WE SELECTED --  
5 (INTERRUPTION. THE HOST PHONE  
6 CONNECTION WAS THEN REINSTATED AND THE MEETING  
7 CONTINUED AS FOLLOWS:)

8 DR. OLSON: BASED ON OUR -- THE PROGRAM  
9 OFFICERS FOR THE TRANSLATIONAL PROGRAMS ARE PRETTY  
10 WELL AWARE OF THE STATUS OF THEM AND WHAT'S GOING ON  
11 FROM PROGRESS REPORTS AND FROM JUST DISCUSSIONS WITH  
12 THEM, SO SOME OF THE INITIAL ONES WERE ONES WHERE WE  
13 KNEW THERE MIGHT BE ISSUES THAT WERE COMING FORWARD.  
14 WE'VE ALSO -- ALSO ANOTHER REASON FOR SELECTION IS  
15 THERE'S SOME COMPLEX PROGRAMS. WE HAVE SOME COMPLEX  
16 PFC PROGRAMS, AUTOLOGOUS. AND IN THE TRAN AWARD IS  
17 WHEN WE EXPECT YOU TO DEVELOP YOUR PROCESS TO  
18 PRODUCE. SO THAT'S ANOTHER SORT OF SIGNAL THAT WE  
19 WOULD SELECT SOMEONE FOR CHOICE.

20 NEXT QUARTER WHAT WE, AT LEAST, ENVISION  
21 IN 2019, IN 2019, THE FIRST HALF OF 2019, IS  
22 PROBABLY BRINGING AT LEAST FOUR ADDITIONAL, AT LEAST  
23 FOUR ADDITIONAL NEW PROGRAMS ON BOARD, AS WELL AS WE  
24 HAVE ALREADY FOLLOW-UP MEETINGS SCHEDULED. THE  
25 RECEPTION HAS BEEN VERY POSITIVE SO FAR TO THE CAT

**BETH C. DRAIN, CA CSR NO. 7152**

1 MECHANISM, MUCH SIMILAR TO THE CAP. PEOPLE  
2 APPRECIATE IT. A LOT OF TIMES EXPERTISE AND HELP IN  
3 EARLY DEVELOPMENT AS WELL AS LATER DEVELOPMENT IS  
4 REALLY IMPORTANT TO PEOPLE.

5 CHAIRMAN THOMAS: DR. STEWARD, THEN DR.  
6 MILLAN.

7 DR. STEWARD: PAT, THAT'S GREAT. JUST A  
8 WONDERFUL SUMMARY OF ALL THE ACCOMPLISHMENTS THAT  
9 HAVE BEEN POSSIBLE THROUGH SUPPORT FROM CIRM. AND  
10 IT REALLY DOES OPEN, I THINK, A NEW DOMAIN OF  
11 DISCOVERY GOING FORWARD.

12 MY QUESTION IS A LITTLE BIT LIKE THE  
13 QUESTION THAT CAME UP IN TERMS OF INDUSTRY  
14 RELATIONS, WHICH IS HOW MANY OF THESE ACTIVITIES AND  
15 PROGRAMS ARE GOING TO DEPEND ON CONTINUED FUNDING OR  
16 WILL BE IMPACTED SHOULD CIRM NOT BE CONTINUED? I  
17 KNOW THAT'S A BIG QUESTION; BUT IF YOU COULD JUST  
18 SORT OF GIVE A VERY BROAD ANSWER, THAT WOULD BE, I  
19 THINK, VERY HELPFUL FOR US TO UNDERSTAND. THANK  
20 YOU.

21 DR. OLSON: I THINK THE DEVELOPMENTAL  
22 PROGRAMS, SOME OF THE PROGRAMS -- WHEN I SAY  
23 DEVELOPMENT, I SAY HERE SOME OF THE PROGRAMS THAT  
24 LOOK AT HUMAN DEVELOPMENT IN ORDER TO PREDICT  
25 DIFFERENTIATION PATHWAYS AND THINGS LIKE THAT. I

**BETH C. DRAIN, CA CSR NO. 7152**

1 LOOK AT ESC PROGRAMS. BUT WE'RE STARTING TO SEE A  
2 LOT MORE INDUCED PLURIPOTENT LINE USE EVEN FOR  
3 ALLOGENEIC PROGRAMS, SO OFF-THE-SHELF-TYPE  
4 STRATEGIES.

5 THE STEM CELL REGENERATIVE MEDICINE FOCUS  
6 IN GENERAL, I THINK THE TOOLS AND THE RESOURCES WE  
7 BRING IS SOMETHING THAT IS RATHER UNIQUE IN THE  
8 AREA. I WOULD JUST HIGHLIGHT FOR THE BOARD I HAVE  
9 BEEN WORKING ACTUALLY AS AN ADVISOR TO AN NIH, THE  
10 CRANIOFACIAL INSTITUTE, THEIR C-DOCTOR PROGRAM, JEFF  
11 LOTZ OVER AT UCSF, AND IT'S BASICALLY -- THE GOAL OF  
12 THAT PROGRAM IS TO BRING THINGS INTO THE CLINIC.  
13 BUT I HAVE, AT JEFF'S REQUEST, BEEN WORKING WITH A  
14 NUMBER, AT LEAST HAVE ADVISED A NUMBER OF THEIR  
15 APPLICANTS AND GRANTEES OVER THE STEPS THEY NEED TO  
16 TAKE TO ESSENTIALLY DO DEVELOPMENT, BE READY TO DO  
17 DEVELOPMENT.

18 SO I THINK THOSE KINDS OF -- THE KINDS OF  
19 RESOURCES, THE KINDS THAT CIRM PROVIDES, THE KIND OF  
20 PROGRAMS WE FUND I THINK THAT WILL BE MISSED, WOULD  
21 BE MISSED.

22 DR. STEWARD: FOLLOW UP WITH SORT OF A  
23 COMMENT, SORT OF A QUESTION. I ASKED THAT  
24 DELIBERATELY WITH REGARD TO SORT OF THE FUTURE  
25 BECAUSE, AGAIN, PARTICULARLY THE LAST PART, ALL

**BETH C. DRAIN, CA CSR NO. 7152**

1 ABOUT THE SINGLE CELL GENOMICS AND EVERYTHING. THE  
2 OTHER THING YOU DESCRIBED IS REALLY PLATFORMS FOR  
3 DISCOVERY. JUST TO POINT OUT, WE HAVE ZERO DOLLARS  
4 IN OUR BUDGET NEXT YEAR FOR DISCOVERY. AND GOING  
5 FORWARD, I THINK THAT'S GOING TO HAVE A HUGE IMPACT,  
6 AND I JUST WANTED TO SAY THAT OUT LOUD PERHAPS AS A  
7 WAY OF HIGHLIGHTING THE NEEDS FOR SOME OF THE  
8 FUNDRAISING IN THIS TRANSITIONAL PERIOD, THAT IT  
9 REALLY IS IN THE DISCOVERY ARENA. WE'RE ALL SET TO  
10 GO HERE, AND YET THERE'S NO MONEY AVAILABLE FOR IT.  
11 SO I WOULD INVITE COMMENTS FROM YOU, DR. MILLAN,  
12 ANYBODY ABOUT THAT, BUT I JUST WANTED TO SAY THAT.

13 DR. MILLAN: JUST IN FOLLOW-UP TO THAT  
14 STATEMENT, QUESTION, I THINK THAT IS ABSOLUTELY  
15 TRUE, THAT THE TYPES OF PROGRAMS WE'RE DEVELOPING  
16 HERE FOR THERAPEUTICS, THE ACTIVE INGREDIENT IS THE  
17 BIOLOGY. SO TO UNDERSTAND THAT BIOLOGY, THIS ISN'T  
18 GOING TO BE A ONE-WAY STREET. AS WE LEARN MORE FROM  
19 THE CLINICAL EXPERIENCE, MORE AND MORE NEEDS TO BE  
20 CHARACTERIZED USING THE GENOMICS TOOLS AND MODELING  
21 TOOLS TO IMPROVE ON THE ABILITY TO DELIVER THIS MORE  
22 EFFECTIVELY TO THE PATIENTS.

23 THE BASIS FOR THESE PROGRAMS IS ON SOLID  
24 SCIENCE, AND WE'RE GOING TO CONTINUE TO RELY ON  
25 SOLID SCIENCE AS WE MOVE FORWARD WITH THESE PROGRAMS

**BETH C. DRAIN, CA CSR NO. 7152**

1 MOVING FORWARD.

2 THE OTHER THING IS ABOUT THE TRANSLATIONAL  
3 ADVISORY PANEL. IN ADDITION TO WHAT DR. OLSON SAID,  
4 THE GOAL AND THE VALUE OF THIS RESOURCE IS IT ALLOWS  
5 US TO REALLY CAPITALIZE ON OUR INVESTMENT INTO THESE  
6 EARLY STAGE PROGRAMS AND HAVE AN IMPACT EARLY ON SO  
7 WE HELP THEM TO DESIGN THE BEST PLAN MOVING FORWARD  
8 AND THE STRONGEST PLAN GOING INTO THE NEXT STAGE OF  
9 OUR DEVELOPMENT PROGRAM, FOR INSTANCE, A  
10 TRANSLATIONAL PROGRAM BEING THE BEST DESIGN,  
11 ANTICIPATING, VERY WELL-INFORMED BY EXPERTS, THAT  
12 WHEN THEY COME TO US WITH A CLINICAL PROGRAM, IT SETS  
13 THEM UP FOR SUCCESS.

14 AND SO WHEN WE TALK ABOUT PROGRESSION AND  
15 ACCELERATION, ALL OF THESE INFRASTRUCTURE PROGRAMS  
16 ARE DESIGNED TO FACILITATE ALL OF THIS. THANK YOU.

17 CHAIRMAN THOMAS: THANK YOU, DR. OLSON.

18 MR. MCCORMACK: CHAIRMAN THOMAS, MEMBERS  
19 OF THE BOARD, MEMBERS OF THE PUBLIC, I MEAN DAVID,  
20 AND DEAR COLLEAGUES, I'M HERE TO TALK ABOUT CIRM'S  
21 IMPACT ON COMMUNICATING OUR VALUE PROPOSITION. AND  
22 I DON'T OFTEN QUOTE FROM THE *BIBLE*. I DON'T  
23 ACTUALLY OFTEN READ THE *BIBLE*, BUT THERE'S A CHAPTER  
24 IN MATTHEW 5, VERSE 15 WHICH SAYS, AND I HAVE TO  
25 READ THIS BECAUSE I DON'T REMEMBER IT, "NEITHER DO

**BETH C. DRAIN, CA CSR NO. 7152**

1 MEN LIGHT A CANDLE AND HIDE IT UNDER A BUSHEL." SO  
2 AT CIRM WE'RE NOT GOING TO DO ALL THIS WORK AS ALL  
3 MY COLLEAGUES HAVE LAID OUT BEFORE YOU AND THEN HIDE  
4 IT UNDER A BUSHEL.

5 SO WE GO OUT AND WE TALK ABOUT THIS AS  
6 MUCH AS WE CAN, AS OFTEN AS WE CAN, TO AS MANY  
7 DIFFERENT PEOPLE AS WE CAN. OBVIOUSLY THERE ARE  
8 VERY DIFFERENT AUDIENCES FOR THIS. SO WE  
9 COMMUNICATE TO THESE DIFFERENT AUDIENCES IN  
10 DIFFERENT WAYS.

11 THE SCIENTIFIC COMMUNITY IS OBVIOUSLY THE  
12 FIRST ONE WE DEAL WITH, AND WE GO OUT TO MANY  
13 DIFFERENT PLACES TO TALK ABOUT THIS. EARLIER THIS  
14 YEAR DR. MILLAN WENT TO THE UNITE TO CURE CONFERENCE  
15 AT THE VATICAN, AND SHE'S ALSO SPOKEN AT THE  
16 PHACILITATE AND THE WORLD STEM CELL SUMMIT. SHE WAS  
17 ONE OF SEVERAL CIRM SPEAKERS AT THE WORLD STEM CELL  
18 SUMMIT. AND THESE ARE VERY GOOD AUDIENCES FOR US TO  
19 REACH BECAUSE THEY ATTRACT KIND OF VERY  
20 HIGH-POWERED, HIGH-INFLUENCE PEOPLE. SO IT'S A  
21 GREAT OPPORTUNITY FOR US TO DO THIS.

22 AND OBVIOUSLY, AGAIN, WE TALK TO GROUPS  
23 LIKE THE NATIONAL ACADEMY OF SCIENCES AND WORK OUT,  
24 LIKE GEOFF LOMAX HAS DONE, A NUMBER OF ALPHA STEM  
25 CELL CLINIC EVENTS, INCLUDING A NURSING CONFERENCE.

**BETH C. DRAIN, CA CSR NO. 7152**

1           SO WE REACH OUT TO MANY DIFFERENT THINGS,  
2           AND MANY OF THE OTHER MEMBERS OF THE TEAM,  
3           PARTICULARLY THE SCIENCE TEAM, GO TO DIFFERENT  
4           CONFERENCES ALL AROUND THE U.S. TALKING ABOUT  
5           HUNTINGTON'S DISEASE AND STROKE RESEARCH. SO WE  
6           REALLY REACH OUT TO THE SCIENTIFIC AUDIENCE TO LET  
7           THEM KNOW WHAT WE'RE DOING, BUT ALSO TO LET THEM  
8           KNOW ABOUT THE OPPORTUNITIES FOR FUNDING FROM CIRM  
9           AND PARTNERSHIPS, EVERYTHING ELSE THAT SHYAM TALKED  
10          ABOUT WITH OUR BUSINESS DEVELOPMENT.

11           A SECOND AUDIENCE IS WE'RE A STATE AGENCY,  
12          AND I THINK SOMETIMES THAT GETS OVERLOOKED. AND SO  
13          OBVIOUSLY THERE'S A VERY IMPORTANT POLITICAL ELEMENT  
14          TO THIS. AND SO TALKING TO STATE LAWMAKERS IS A  
15          CRITICAL PART OF WHAT WE DO. AND OBVIOUSLY SENATOR  
16          TORRES LED THE CHARGE ON THIS, AND HE REGULARLY GOES  
17          TO SACRAMENTO TO UPDATE THE STATE LEGISLATURE. AND  
18          EARLIER THIS YEAR SENATOR TORRES AND DR. MILLAN LED  
19          WHAT I THINK WAS A REALLY WELL-RECEIVED BRIEFING IN  
20          FRONT OF THE STATE ASSEMBLY BIOTECH COMMITTEE.

21           ONE WORD OF CAUTION. IF YOU EVER GO TO  
22          SACRAMENTO AND YOU'RE IN A HURRY, DON'T GO WITH ART.  
23          HE KNOWS EVERYONE AND EVERYONE KNOWS HIM AND THEY  
24          ALL WANT TO TALK TO HIM. SO WALKING THROUGH THE  
25          CAPITOL BUILDING WITH SENATOR TORRES IS JUST GOING



**BETH C. DRAIN, CA CSR NO. 7152**

1 TO BE AN EXERCISE IN PATIENCE. YOU'RE NOT GOING TO  
2 GET VERY FAR VERY FAST.

3 CHAIRMAN THOMAS: TAKES 45 MINUTES TO GET  
4 FROM THE PARKING GARAGE TO THE BUILDING.

5 MR. MCCORMACK: THAT'S WHY HE'S SO USEFUL  
6 TO US, BECAUSE HE KNOWS EVERYONE.

7 ANOTHER OBVIOUS AVENUE FOR KIND OF GETTING  
8 THE MESSAGE OUT IS THE MEDIA, THE MAINSTREAM MEDIA.  
9 AND WE'VE BEEN QUITE BUSY THIS LAST FEW MONTHS. THE  
10 RECENT STORY ABOUT THE SCIENTIST WHO CREATED A  
11 CRISPR GENE-EDITED BABY OBVIOUSLY GENERATED A LOT OF  
12 INTEREST. AND DR. TALIB AND LOMAX WERE VERY USEFUL  
13 IN WORKING WITH BRAD FIKES OF THE *SAN DIEGO UNION*  
14 *TRIBUNE* IN HELPING GIVING HIM SOME BACKGROUND, SOME  
15 PERSPECTIVE ON WHAT THIS IS, AND ALSO SOME GOOD  
16 QUOTES TO FEATURE IN HIS PIECE.

17 DR. MILLAN DID AN INTERVIEW RECENTLY WITH  
18 DENISE GRADY OF THE *NEW YORK TIMES* AND THAT'S GOING  
19 TO BE IN A STORY COMING OUT LATER THIS MONTH OR  
20 EARLY NEXT YEAR. WE'RE NOT QUITE SURE YET. AND AS  
21 DR. THOMAS MENTIONED EARLIER, HE DID AN INTERVIEW  
22 WITH CBS2 IN LOS ANGELES. HE ACTUALLY TALKED TO THE  
23 REPORTER FOR ABOUT HALF AN HOUR AND WAS ON CAMERA  
24 FOR ABOUT 12 SECONDS, BUT IT WAS A REALLY GOOD 12  
25 SECONDS. AND AS A FORMER TV NEWS PRODUCER, 12

**BETH C. DRAIN, CA CSR NO. 7152**

1       SECONDS IS PRETTY GOOD, J.T. I HAVE TO SAY.

2                       PERHAPS THE BIGGEST STORY FOR US IN THE  
3       LAST FEW MONTHS WAS A SERIES OF STORIES IN THE *SAN*  
4       *FRANCISCO CHRONICLE* THAT LOOKED AT THE HISTORY OF  
5       STEM CELL RESEARCH FROM THE PASSAGE OF PROP 71 TO  
6       TODAY. THREE OF THE FOUR STORIES WERE REALLY GOOD.  
7       ONE WAS CALLED "IMMENSE PROMISE, HARD-WON PROGRESS."  
8       AND IT LOOKED AT KIND OF THE CHALLENGES A LOT OF  
9       STEM CELL RESEARCHERS ARE FACING AS THEY TRY TO KIND  
10      OF ADVANCE THE RESEARCH, GET APPROVAL FROM THE FDA  
11      AND EVERYTHING ELSE.

12                      ONE OF THE STORIES, THE LAST ONE, WAS  
13      CALLED "LOFTY PROMISES, LIMITED RESULTS." AND I  
14      THINK THE BIGGEST LESSON FROM THAT IS THAT I'M  
15      RUBBISH AT MY JOB.

16                      WE ALSO USE SOCIAL MEDIA A LOT. WE HAVE A  
17      BLOG. AND OVER THE LAST THREE MONTHS, WE'VE HAD  
18      SOMETHING LIKE 63,000 VIEWS OF THAT. WE USE TWITTER  
19      AND FACEBOOK AND EVERYTHING. ONE OF THE THINGS  
20      WE'VE BEEN USING A LOT IN THE LAST FEW MONTHS IS  
21      FACEBOOK LIVE, WHICH IS A GREAT WAY TO KIND OF REACH  
22      OUT TO A WIDER AUDIENCE WHERE WE FEATURE LIVE ON  
23      FACEBOOK SOME OF OUR EXPERTS AND SCIENCE OFFICERS  
24      AND PATIENT ADVOCATES, WHERE POSSIBLE, TO TALK ABOUT  
25      THE WORK WE'RE DOING AND TO KIND OF LET PEOPLE KNOW

**BETH C. DRAIN, CA CSR NO. 7152**

1 WHAT OPTIONS ARE OUT THERE AND ALSO TO KIND OF LET  
2 THEM KNOW WHAT CIRM HAS BEEN DOING. WE'VE DONE FOUR  
3 SO FAR THIS YEAR, ONE ON STROKE, ALS, SICKLE CELL  
4 DISEASE, AND VISION LOSS. SO FAR THOSE HAVE ALL HAD  
5 ABOUT 19,000 VIEWS IN TOTAL. SO THAT'S A REALLY  
6 IMPORTANT WAY OF REACHING OUT TO A MUCH WIDER  
7 AUDIENCE. SO WE'RE GOING TO BE DOING MORE OF THOSE  
8 IN THE COMING YEAR AS WELL.

9 OBVIOUSLY ONE OF THE OTHER THINGS THAT WE  
10 DO IS WE GO OUT AND WE DO TALKS. WE DO TALKS TO THE  
11 FOUNDATION FIGHTING BLINDNESS, TO ALS SUPPORT  
12 GROUPS, TO ANY GROUP REALLY THAT WOULD LIKE US TO  
13 COME OUT AND TALK TO THEM, TO ROTARY CLUBS. I GAVE  
14 A TALK A COUPLE OF WEEKS AGO AT A MENSA CONFERENCE.  
15 THAT WAS A LITTLE BIT INTIMIDATING.

16 SADLY, I HAVE TO END TODAY WITH NEWS OF  
17 THE LOSS OF A COLLEAGUE, DR. BRIAN SORRENTINO. DR.  
18 SORRENTINO WAS THE PRINCIPAL INVESTIGATOR IN  
19 COLLABORATION WITH UCSF ON A CIRM-FUNDED CLINICAL  
20 TRIAL TARGETING X-LINKED SCID. DR. SORRENTINO WAS  
21 HIMSELF A CHILDHOOD CANCER SURVIVOR WHO THEN WENT ON  
22 TO BECOME A WORLD RENOWNED RESEARCHER. AND HE WAS  
23 BY ALL ACCOUNTS AN EXTRAORDINARY PERSON AND  
24 CERTAINLY ACTIVE AND COMMITTED RIGHT TO THE END. IN  
25 FACT, JUST TWO WEEKS BEFORE HE PASSED, HE WAS ON A

**BETH C. DRAIN, CA CSR NO. 7152**

1 CLINICAL ADVISORY PANEL, A CIRM CLINICAL ADVISORY  
2 PANEL FOR ONE OF OUR PROJECTS.

3 THE PROJECT, THE THERAPY HE HELPED DEVELOP  
4 IS FOR X-LINKED SCID. AND IT'S A RARE DISEASE.  
5 CHILDREN BORN WITH THAT CONDITION HAVE NO  
6 FUNCTIONING IMMUNE SYSTEM. AND SO EVEN A SIMPLE  
7 INFECTION, A COLD, FOR EXAMPLE, COULD PROVE LIFE  
8 THREATENING OR EVEN FATAL. SO FAR NINE CHILDREN  
9 HAVE BEEN TREATED AS PART OF THAT CLINICAL TRIAL,  
10 INCLUDING RONNIE, WHO'S THE YOUNG MAN YOU MAY  
11 REMEMBER FROM THE FRONT COVER OF OUR ANNUAL REPORT  
12 LAST YEAR. ALL NINE ARE DOING WELL. IN FACT, I  
13 JUST SPOKE WITH RONNIE'S PARENTS YESTERDAY. AND  
14 HE'S ABOUT TO COME OFF ALL THE IMMUNOSUPPRESSIVE  
15 DRUGS THAT HE WAS ON FOR A WHILE. HE'S DOING WELL.  
16 HE'S THRIVING.

17 SO I THINK THAT DR. SORRENTINO'S WORK  
18 LIVES ON IN THOSE NINE CHILDREN AND IN ALL THE  
19 CHILDREN WHO WILL BE TREATED WITH THIS THERAPY IN  
20 THE COMING YEARS.

21 AS I LOOKED AT THIS SLIDE AS I WAS PUTTING  
22 IT TOGETHER, IT JUST STRUCK ME THAT THE WORDS AT THE  
23 BOTTOM WERE REALLY APPROPRIATE FOR HIS LIFE AND  
24 LEGACY, WHICH IS EVERY MOMENT COUNTS AND DON'T STOP  
25 NOW. AND WITH THAT, I'LL HAND BACK TO DR. MILLAN.

**BETH C. DRAIN, CA CSR NO. 7152**

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

(APPLAUSE.)

DR. MILLAN: THANK YOU VERY MUCH, KEVIN. I WANTED TO JUST MENTION ALSO THAT DR. SORRENTINO WAS CRITICAL IN TERMS OF BRINGING VISIBILITY TO CIRM'S VALUE PROPOSITION WITH NIH THAT LED TO THE CONVERSATIONS EVENTUALLY LEADING TO THE PARTNERSHIP WITH NHLBI, AGAIN DEMONSTRATING HOW OUR SUPPORTERS ARE WITHIN CALIFORNIA, OUTSIDE OF CALIFORNIA. AND THERE'S A MULTIPLIER EFFECT BY THE WORK THAT CIRM IS DOING. AND WE HAVE A WHOLE COMMUNITY AROUND US OF RESEARCHERS WHO ARE SUPPORTING OUR EFFORTS. THANK YOU.

CHAIRMAN THOMAS: THANK YOU, DR. MILLAN, AND ALL MEMBERS OF THE TEAM. THAT WAS A REALLY IMPRESSIVE DESCRIPTION OF THE STATUS OF EVERYTHING WE'RE DOING. AND I THINK WE AS A GROUP YOU SHOULD FEEL REALLY, REALLY GOOD ABOUT WHERE WE ARE AT THIS POINT AND OUR CONTRIBUTIONS TO THE FIELD.

DR. STEWARD: I'M NOT SURE THIS IS THE RIGHT TIME TO ASK THIS, AND I'M NOT EVEN SURE WHETHER TO ASK YOU, CHAIRMAN THOMAS, OR DR. MILLAN. I'LL THROW THE QUESTION OUT. SO WE SORT OF STARTED WITH LOOKING AT OUR END IN SIGHT AND WHAT YOU'RE DOING TO TRY TO RAISE FUNDS TO CARRY US OVER THROUGH THIS BRIDGE FUNDING PERIOD. AND IN SEEING ALL THIS,

**BETH C. DRAIN, CA CSR NO. 7152**

1 IT'S JUST WONDERFUL WHAT'S GOING ON. AND I WONDERED  
2 IF EITHER OF YOU COULD TALK A LITTLE BIT ABOUT HOW,  
3 SHOULD YOU BE SUCCESSFUL IN FUNDRAISING, HOW THOSE  
4 FUNDS MIGHT BE DEPLOYED GOING FORWARD IN THIS  
5 TRANSITION PERIOD TO KEEP SOME OF THESE CRITICAL  
6 PROGRAMS GOING. I DON'T KNOW WHETHER -- I KNOW THIS  
7 DEPENDS ON WHAT KINDS OF DONATIONS COME IN, AND I  
8 KNOW IT'S A LONG ANSWER, BUT IF YOU COULD JUST GIVE  
9 US SORT OF A BROAD STROKES VIEW OF MAYBE THE WAYS  
10 THAT THOSE DECISIONS MIGHT BE MADE GOING FORWARD.  
11 THANK YOU.

12 CHAIRMAN THOMAS: THANK YOU, DR. STEWARD.  
13 SO YOU CORRECTLY NOTE THAT IT DEPENDS ON THE NATURE  
14 OF THE GIFT. THE GENERAL ASK WE ALWAYS WANT TO HAVE  
15 FIRST AND FOREMOST IS UNRESTRICTED, SO THAT WOULD  
16 ALLOW US TO TAKE THE MONEY. AND THE ANSWER TO HOW  
17 THAT WOULD BE DEPLOYED WOULD DEPEND UPON WHAT THE  
18 BOARD DETERMINES WOULD BE THE APPROPRIATE  
19 DISTRIBUTION. THERE ARE, AS I SUGGESTED, A LOT OF  
20 HIGHLY TAILORED ASKS. FOR EXAMPLE, GETTING TO YOUR  
21 EARLIER POINT, THERE ARE ENTITIES THAT ARE FIRST AND  
22 FOREMOST INTERESTED IN BASIC RESEARCH. SO THE PITCH  
23 TO THEM IS EXACTLY AS YOU SAID. WITHOUT FUNDING FOR  
24 CALENDAR YEAR FROM '19 TO '20, WE WOULD NOT BE ABLE  
25 TO FUND BASIC RESEARCH, SO WE DESPERATELY NEED THAT

**BETH C. DRAIN, CA CSR NO. 7152**

1 MONEY. SO IF IT COMES IN THROUGH THAT, OBVIOUSLY  
2 THAT'S WHERE IT GOES.

3 THERE WILL BE OTHERS THAT MAY BE DISEASE  
4 OR CATEGORY SPECIFIC. AND TO THE EXTENT YOU GET A  
5 GIFT OF THAT ORDER, THEN AGAIN, THAT WOULD BE UP TO  
6 THE BOARD AS ADVISED BY GWG SORT OF HOW THAT WOULD  
7 BE SPLIT UP GOING FORWARD.

8 SO IT VERY MUCH IS SORT OF A CASE-BY-CASE  
9 THING. DR. MILLAN, DO YOU HAVE ANY OTHER THOUGHTS  
10 ON THAT?

11 DR. MILLAN: I THINK, AS WE USUALLY DO, IF  
12 THERE ARE FUNDS THAT ARE RAISED, WHAT WE WOULD DO IS  
13 CRAFT A PROPOSAL AND BRING IT TO THE BOARD IN TERMS  
14 OF HOW THOSE FUNDS WOULD BE USED.

15 AS J.T. HAD MENTIONED, THERE ARE DONORS  
16 WHO HAVE SPECIFIC INTEREST IN EITHER AREAS OR  
17 INFRASTRUCTURE. AND SO OUR APPROACH TO ENGAGING  
18 WITH THOSE POTENTIAL SOURCES OF FUNDING IS IN A  
19 DESIGNED THINKING MANNER. WHAT IS IT THAT WE CAN DO  
20 TO TACKLE THE CHALLENGE THAT THEY THINK IS MOST  
21 IMPORTANT?

22 WITHOUT GIVING TOO MUCH DETAIL, IN SOME OF  
23 THESE KIND OF TALKS THAT HAVE PROGRESSED TO THE  
24 SECOND OR THIRD STAGE OF CONVERSATION, IT WILL  
25 INVOLVE INFRASTRUCTURE, BASIC RESEARCH, SOME OF THE

**BETH C. DRAIN, CA CSR NO. 7152**

1 THINGS THAT PAT HAD DESCRIBED IN TERMS OF DEPLOYING  
2 THE CUTTING EDGE SCIENCE AND CLINICAL TRIALS AND  
3 CLINICAL DEVELOPMENT.

4 SO WHAT'S REALLY GREAT IS CIRM HAS ALREADY  
5 DEMONSTRATED HOW THIS MODEL OF INVESTING IN THE FIVE  
6 PILLARS FLOATS ALL BOATS IN TERMS OF PROGRESS. AND  
7 I THINK THAT THOSE WHO REALLY WANT TO MEANINGFULLY  
8 TARGET, ATTACK, SOLVE A PROBLEM SEE THE VALUE IN  
9 THIS.

10 SO I SUSPECT THAT IT WILL INVOLVE MULTIPLE  
11 DIFFERENT TYPES OF PROGRAMS. BUT UNTIL WE HAVE --  
12 IF THERE ARE SPECIFICATIONS FOR HOW THEY WISH TO  
13 DONATE, UNTIL WE HAVE THAT, WE WON'T HAVE ANYTHING  
14 CONCRETE TO REALLY BRING TO YOU. THANK YOU.

15 CHAIRMAN THOMAS: OKAY. WE'RE GOING TO  
16 TAKE A FIVE-MINUTE BREAK AFTER WHICH WE'RE GOING TO  
17 HAVE THE APPLICATION REVIEW SUBCOMMITTEE. MARIA  
18 WOULD LIKE TO SAY SOMETHING AT THIS POINT.

19 MS. BONNEVILLE: I JUST WANTED TO CONFIRM.  
20 LAUREN MILLER, CAN YOU HEAR US? ARE YOU ON THE  
21 LINE?

22 MS. MILLER: YES, I'M HERE.

23 MS. BONNEVILLE: DAVID HIGGINS?

24 DR. HIGGINS: I'M HERE.

25 MS. BONNEVILLE: DAVE MARTIN?



**BETH C. DRAIN, CA CSR NO. 7152**

1 DR. MARTIN: HERE.

2 MS. BONNEVILLE: GREAT. SO WE'RE GOING TO  
3 BREAK FOR FIVE MINUTES, WE WILL BE BACK, AND WE WILL  
4 THEN TAKE UP THE CLIN APPLICATION. THANK YOU.

5 DR. BOXER: MARIA, IT'S LINDA BOXER. I'M  
6 ALSO ON THE LINE.

7 (A RECESS WAS TAKEN.)

8 CHAIRMAN THOMAS: EVERYBODY WE'RE  
9 RECONVENING. NOW I'M GOING TO GO TO ITEM NO. 5,  
10 CONSIDERATION OF APPLICATIONS SUBMITTED IN RESPONSE  
11 TO CLINICAL TRIAL STAGE PROJECTS, CLIN1 OR CLIN2.  
12 TURN THIS MEETING AT THIS POINT OVER TO MR. SHEEHY.

13 MR. SHEEHY: THANK YOU, CHAIRMAN THOMAS.  
14 SO WILL YOU LEAD US THROUGH THIS TODAY, DR.  
15 SAMBRANO?

16 DR. SAMBRANO: YES, ABSOLUTELY. GOOD  
17 MORNING, EVERYONE. I'M GOING TO PRESENT TO YOU THE  
18 RECOMMENDATIONS FROM THE GRANTS WORKING GROUP  
19 REGARDING A CLIN2 PROJECT. JUST AS A REMINDER, AS  
20 WE BEGIN THIS OVERVIEW OF THE PROJECT, THE CLINICAL  
21 STAGE PROGRAMS THAT WE FUND ENCOMPASS LATE STAGE  
22 PRECLINICAL ACTIVITIES AS WELL AS THE FUNDING OF  
23 CLINICAL TRIALS ACROSS THE DIFFERENT PROGRAMS THAT  
24 WE OFFER.

25 THE GRANTS WORKING GROUP, WHEN THEY

**BETH C. DRAIN, CA CSR NO. 7152**

1 EVALUATE THESE APPLICATIONS, USE A SCORING SYSTEM OF  
2 1, 2, AND 3. AND REALLY IT'S A SYSTEM THAT I THINK  
3 TELLS US A GREAT DEAL OF THEIR VIEW ON THE  
4 APPLICATIONS. A SCORE OF 1 BEING THAT THEY FEEL THE  
5 APPLICATION HAS EXCEPTIONAL MERIT AND WARRANTS  
6 FUNDING. A SCORE OF 2 ALLOWS AN APPLICATION TO  
7 ADDRESS SOME CONCERNS AND COME BACK TO THE GRANTS  
8 WORKING GROUP FOR A REASSESSMENT. AND THEN A SCORE  
9 OF 3 MEANS THAT IT HAS SUFFICIENT FLAWS THAT THEY  
10 WOULD LIKE THE APPLICANT TO GO BACK AND RETHINK  
11 THINGS. SO APPLICATIONS ARE NOT ACCEPTED FOR AT  
12 LEAST SIX MONTHS.

13 AN UPDATE ON THE CLINICAL BUDGET STATUS  
14 FOR 2018. AS YOU MAY RECALL, THERE WAS \$130 MILLION  
15 THAT WAS ALLOCATED TO THE CLINICAL PROGRAM TO FUND  
16 THESE PROGRAMS AT THE BEGINNING OF THE YEAR. WE'RE  
17 NOW AT DECEMBER, AND AT THE END OF LAST MONTH, THERE  
18 WERE 95.4 MILLION THAT WERE APPROVED IN ABOUT 12  
19 GRANTS INTO THIS PROGRAM. AND IF YOU APPROVE THE  
20 APPLICATION UNDER CONSIDERATION TODAY, IT WOULD ADD  
21 ABOUT 6.2 MILLION, AND IT WOULD LEAVE US WITH 28.4  
22 FOR THE YEAR.

23 WE ALSO SET AWARD TARGETS INTERNALLY IN  
24 TERMS OF THE TYPES OF PROGRAMS WE WOULD LIKE TO GET  
25 FUNDED WITH THAT ALLOCATION. SO OUR TARGET

**BETH C. DRAIN, CA CSR NO. 7152**

1 INITIALLY FOR CLINICAL TRIALS WAS 12. IF YOU  
2 APPROVE THIS APPLICATION, THAT WILL GIVE US NO. 7.  
3 IN TERMS OF LATE STAGE PRECLINICAL WORK, WE MET AND  
4 EXCEEDED THAT TARGET. WE HAVE SIX PROGRAMS THAT  
5 WERE APPROVED FOR FUNDING.

6 SO IN SPEAKING ABOUT THIS SPECIFIC  
7 APPLICATION THAT WAS REVIEWED AND RECOMMENDED BY THE  
8 GWG, THIS IS CLIN2-11371. AND SO THIS IS A CLINICAL  
9 STUDY OF A THERAPY FOR CHEMOTHERAPY-INDUCED  
10 TOXICITIES. THE THERAPY ITSELF IS A CELL THERAPY.  
11 IT IS GENETICALLY ENGINEERED CD31 POSITIVE CELLS,  
12 ENDOTHELIAL CELLS, ESSENTIALLY DERIVED FROM HUMAN  
13 UMBILICAL VEINS. THE INDICATION IS FOR PATIENTS  
14 WITH REFRACTORY LYMPHOMA THAT ARE TREATED WITH HIGH  
15 DOSE CHEMOTHERAPY FOLLOWED BY AN AUTOLOGOUS STEM  
16 CELL TRANSPLANT TO REDUCE THE TOXICITY THAT IS  
17 RELATED TO THAT TREATMENT.

18 SO THE GOAL OF THE PROJECT IS TO PRODUCE  
19 AND MANUFACTURE THE PRODUCT AND CONDUCT A PHASE 1  
20 CLINICAL TRIAL. THE REQUEST FROM THE APPLICANT IS  
21 FOR ABOUT 6.2 MILLION. THEY ARE PROVIDING  
22 CO-FUNDING ON THE ORDER OF ABOUT 2.6 OR .7 MILLION.

23 THE IMPACT OF THIS THERAPY IS REALLY  
24 INITIALLY FOCUSED ON PATIENTS WHO HAVE LYMPHOMA.  
25 AND IN TERMS OF LYMPHOMA, THERE'S AN ESTIMATED

**BETH C. DRAIN, CA CSR NO. 7152**

1 83,000 NEW CASES THAT WOULD BE DIAGNOSED IN 2018.  
2 IT IS TREATABLE, BUT THOSE THAT HAVE RELAPSED, OR  
3 REFRACTORY LYMPHOMA, UNDERGO AN AGGRESSIVE TREATMENT  
4 OF HIGH DOSE CHEMOTHERAPY FOLLOWED BY THE STEM CELL  
5 TRANSPLANT, WHICH LEADS TO AND HAS VARIOUS  
6 MORBIDITIES THAT INCLUDE MICOSITUS, BONE MARROW  
7 TOXICITY, INFECTIONS, AND PNEUMONITIS. AND THIS IS  
8 WHERE THE APPLICANTS ARE LOOKING TO START -- THERE  
9 IS THE POTENTIAL, IF SUCCESSFUL AND IDEALLY  
10 ADVANCES, IT COULD ALLOW THE APPLICATION OF THIS  
11 THERAPY FOR OTHER HIGH DOSE CHEMOTHERAPY  
12 APPLICATIONS.

13 SO THE VALUE HERE IS LARGELY IN SUPPORTING  
14 THE ORGAN-SPECIFIC TREATMENT OF CHEMOTHERAPY, THERE  
15 ARE AGENTS CURRENTLY THAT MAY HELP MITIGATE SOME OF  
16 THIS, BUT NOTHING THAT REALLY IS AS COMPREHENSIVE AS  
17 WHAT THEY ARE HOPING TO ACHIEVE WITH THIS THERAPY  
18 THAT WOULD BE INFUSED AND IMPACT ON ALL THE SITES  
19 THAT WOULD NEED SOME HELP IN ESTABLISHING A STEM  
20 CELL NICHE AND HELP THE BODY RECOVER FROM THE  
21 CHEMOTHERAPY TREATMENT ACROSS MULTIPLE ORGAN  
22 SYSTEMS.

23 WHY IS THIS A STEM CELL PROJECT? WELL,  
24 THIS IS A CELL THERAPY THAT IS ACTING ON ENDOGENOUS  
25 STEM CELLS FOR ITS THERAPEUTIC EFFECT. THE

**BETH C. DRAIN, CA CSR NO. 7152**

1 IMPLICATION IS THAT UPON INFUSION, IT IMPACTS THE  
2 NICHES THAT CONTAIN PROGENITOR AND STEM CELLS AND  
3 HELP THE ORGAN SYSTEMS RECOVER.

4 THERE ARE SOME RELATED PORTFOLIO PROJECTS,  
5 BASICALLY ONE, WHICH IS A PHASE 1 CLINICAL TRIAL  
6 THAT UTILIZES JUST ABOUT THE SAME ENGINEERED HUMAN  
7 UMBILICAL VEIN ENDOTHELIAL CELLS. IN THE OTHER  
8 PROJECT, THEY COMBINE THIS WITH CORD BLOOD IN ORDER  
9 TO TREAT LEUKEMIA. SO THIS WOULD BE A LEUKEMIA  
10 THERAPY.

11 THE APPLICANT HAS RECEIVED CIRM FUNDING  
12 PREVIOUSLY, BOTH TO SUPPORT IND-ENABLING ACTIVITIES  
13 AND THOSE THAT LED TO THE PHASE 1 TRIAL THAT IS  
14 ONGOING AND THAT WE ARE CURRENTLY FUNDING THAT IS  
15 DIFFERENT FROM THE ONE WE ARE CONSIDERING TODAY.

16 SO, LASTLY, THE RECOMMENDATION FROM THE  
17 GWG WAS A SCORE OF 1 WITH EIGHT VOTES GIVING IT A  
18 SCORE OF 1. THERE WERE FOUR VOTES SUGGESTING A  
19 SCORE OF 2 AND NONE A SCORE OF 3. THE CIRM TEAM  
20 RECOMMENDATION IS IN CONCURRENCE WITH THAT OF THE  
21 GWG AND SUGGEST THAT WE FUND FOR THE AWARD AMOUNT OF  
22 6.2 MILLION. MR. SHEEHY.

23 MR. SHEEHY: DO I HAVE A MOTION TO EITHER  
24 ACCEPT THE CIRM TEAM RECOMMENDATION AND FUND THIS  
25 PROJECT OR TO NOT ACCEPT IT AND NOT FUND IT?

**BETH C. DRAIN, CA CSR NO. 7152**

1 CHAIRMAN THOMAS: MOVE TO ACCEPT.  
2 UNIDENTIFIED SPEAKER: SECOND.  
3 MR. SHEEHY: IS THERE ANY DISCUSSION?  
4 THEN IS THERE ANY PUBLIC COMMENT? COULD WE CALL THE  
5 ROLL PLEASE.  
6 MS. BONNEVILLE: ANNE-MARIE DULIEGE.  
7 DR. DULIEGE: YES.  
8 MS. BONNEVILLE: DAVID HIGGINS.  
9 DR. HIGGINS: YES.  
10 MS. BONNEVILLE: STEVE JUELSGAARD.  
11 MR. JUELSGAARD: YES.  
12 MS. BONNEVILLE: DAVE MARTIN.  
13 DR. MARTIN: YES.  
14 MS. BONNEVILLE: LAUREN MILLER.  
15 MS. MILLER: YES.  
16 MS. BONNEVILLE: ADRIANA PADILLA.  
17 DR. PADILLA: YES.  
18 MS. BONNEVILLE: JOE PANETTA.  
19 MR. PANETTA: YES.  
20 MS. BONNEVILLE: FRANCISCO PRIETO.  
21 DR. PRIETO: AYE.  
22 MS. BONNEVILLE: ROBERT QUINT. AL  
23 ROWLETT. JEFF SHEEHY.  
24 MR. SHEEHY: YES.  
25 MS. BONNEVILLE: OS STEWARD.

**BETH C. DRAIN, CA CSR NO. 7152**

1 DR. STEWARD: YES.

2 MS. BONNEVILLE: JONATHAN THOMAS.

3 CHAIRMAN THOMAS: YES.

4 MS. BONNEVILLE: ART TORRES.

5 MR. TORRES: AYE.

6 MS. BONNEVILLE: DIANE WINOKUR.

7 THE MOTION CARRIES.

8 MR. SHEEHY: THANK YOU. SO I JUST WANT TO  
9 NOTE THIS IS NOW OFFICIALLY CIRM'S 50TH CLINICAL  
10 TRIAL.

11 (APPLAUSE.)

12 MR. SHEEHY: SO I THINK FOR THOSE OF US,  
13 OS, FRANCISCO, MAYBE SHERRY, WHEN WE FIRST MET, AND  
14 I THINK THAT WAS ACROSS THE BAY AT UCSF, WE DIDN'T  
15 EVEN HAVE A PAPERCLIP. WHAT IS THAT, 14 YEARS AGO,  
16 15, ALMOST TO THE DAY. IT WAS IN DECEMBER WE MET  
17 FOR THE FIRST TIME, 14 YEARS AGO. WHO COULD IMAGINE  
18 THE ROAD WE'VE TAKEN? THE SCIENCE AT THAT TIME WAS  
19 NOT, FRANKLY, PREPARED TO SUPPORT 50 CLINICAL  
20 TRIALS. WITH PERSISTENCE AND HARD WORK, I THINK WE  
21 ARE NOW AT ABOUT, WHAT, 35, 40 PEOPLE CURED? WHAT'S  
22 THE EXACT NUMBER OF PEOPLE WE HAVE CURED?

23 DR. MILLAN: AROUND THAT.

24 MR. SHEEHY: WE'VE ACTUALLY, ALBEIT NOT ON  
25 THE FRAME THAT WE HOPED, WE ARE CURING PATIENTS. WE

**BETH C. DRAIN, CA CSR NO. 7152**

1 ARE CONDUCTING CLINICAL TRIALS. HOW MANY PATIENTS,  
2 I CAN'T REMEMBER FROM YOUR SLIDE --

3 DR. MILLAN: OVER A THOUSAND PATIENTS.

4 MR. SHEEHY: OVER A THOUSAND PATIENTS  
5 ENROLLED. I THINK IT WOULD REALLY BE APPROPRIATE AT  
6 THIS POINT. THE VERY FIRST CLINICAL TRIAL WE FUNDED  
7 WAS WITH GERON, WHICH HAS NOW MORPHED TO ASTERIAS,  
8 USING EMBRYONIC STEM CELLS FOR SPINAL CORD INJURY.  
9 AND I REALLY WANT TO SALUTE -- FIRST OF ALL, I WANT  
10 TO SALUTE THE AGENCY AND THE TEAM FOR THE WORK AND  
11 ALL THE PEOPLE. WE'VE HAD PEOPLE COMING TO THIS  
12 AGENCY WHO HAVE REALLY DEDICATED THEIR LIVES. I'VE  
13 NEVER SEEN A GROUP OF INDIVIDUALS WORK SO HARD WITH  
14 SUCH PASSION AND SUCH COMMITMENT. REALLY THANK YOU  
15 TO ALL OF YOU FOR YOUR HARD WORK.

16 BUT AT THIS TIME, TOO, WE ACTUALLY HAVE  
17 RICH LAJARA -- DID I GET THE NAME RIGHT? -- WHO IS  
18 THE VERY FIRST PATIENT TREATED AS PART OF THE GERON  
19 CLINICAL TRIAL, THE FIRST PERSON TREATED FOR SPINAL  
20 CORD INJURY IN THE CIRM PROJECT. AND FIRST OF ALL,  
21 I'M REALLY DELIGHTED THAT YOU'RE HERE WITH US TODAY,  
22 BUT I ALSO REALLY WANT TO SALUTE YOUR COURAGE IN  
23 STEPPING UP AND TAKING THIS CHANCE, FOR REALLY  
24 BEING -- REALLY DOING THE HARDEST WORK OF ALL OF  
25 ANYBODY INVOLVED WITH THIS PROJECT, THE VERY FIRST



**BETH C. DRAIN, CA CSR NO. 7152**

1 PATIENT TO STEP UP AND TAKE AN EMBRYONIC STEM  
2 CELL-DERIVED PRODUCT. THE ALTRUISM, THE LOVE THAT  
3 YOU'VE SHOWN THE ENTIRE WORLD BY YOUR WILLINGNESS TO  
4 SACRIFICE I SALUTE YOU, AND I THANK YOU.

5 (APPLAUSE.)

6 MR. LAJARA: THANKS FOR THAT INTRODUCTION.  
7 SO 50, RIGHT? IT'S AN HONOR TO BE HERE TODAY AS THE  
8 50TH CLINICAL TRIAL HAS OFFICIALLY BEEN FUNDED BY  
9 CIRM. IT DOES FEEL LIKE IT WAS JUST YESTERDAY THAT  
10 I WAS ENROLLED IN THE FUNDED CLINICAL TRIAL BY CIRM  
11 AND IN TURN BECAME CALIFORNIA'S FIRST EMBRYONIC STEM  
12 CELL PATIENT.

13 LITTLE BACKGROUND. I BECAME PARALYZED  
14 FROM THE WAIST DOWN SEPTEMBER 2011. IT WAS LABOR  
15 DAY AND I WAS AT A RIVER WITH SOME CLOSE FRIENDS.  
16 AND THERE WAS THIS NATURAL GRANITE ROCK SLIDE  
17 FEATURE NEXT TO A WATERFALL. AND THE SLIDE WAS  
18 ABOUT 60 FEET LONG. AND ALLS YOU HAD TO DO WAS GET  
19 A BUCKET OF WATER AND GET THE ROCKS WET AND SLIDE  
20 DOWN INTO A NATURAL POOL. I ENDED UP FLIPPING, WENT  
21 HEAD FIRST DOWN BACKWARDS, BUT I WAS TOO FAR TO ONE  
22 SIDE AND SLID OFF ABOUT 15-FOOT LEDGE WHERE THE  
23 WATERFALL WAS. I WAS PULLED FROM THE WATER AND  
24 BANGED UP PRETTY BAD.

25 SO AFTER THEY PULLED ME OUT OF THE WATER,

**BETH C. DRAIN, CA CSR NO. 7152**

1 SOMEONE HAD LOOKED AT MY BACK AND NOTICED THE  
2 DEFORMITY AND THEN TAPPED MY LEG AND ASKED IF I  
3 COULD FEEL THAT, AND I KNEW IMMEDIATELY I WAS  
4 PARALYZED. I THOUGHT THIS WAS THE END. LITTLE DID  
5 I KNOW THIS WAS JUST THE BEGINNING. I CALL IT BEING  
6 IN THE WRONG PLACE AT THE RIGHT TIME.

7 SO AFTER A FEW DAYS IN THE HOSPITAL, OF  
8 COURSE, EVERYONE AS WELL AS MYSELF WANTED A CURE,  
9 AND WE QUICKLY LEARNED THAT ONE DID NOT EXIST.  
10 CLOSE FAMILY FRIEND HAD BEEN MAKING SOME PHONE CALLS  
11 AND WAS ABLE TO CONNECT WITH THE DANA AND  
12 CHRISTOPHER REEVES FOUNDATION AND LEARNED ABOUT A  
13 CLINICAL TRIAL WITH STEM CELLS. ONE OF MY BIGGEST  
14 QUESTIONS WAS HOW MANY PEOPLE HAVE DONE THIS, AND I  
15 WAS TOLD CLOSE TO NONE. I WAS ALSO TOLD I'D MOST  
16 LIKELY HAVE NO DIRECT BENEFIT AS THIS WAS A SAFETY  
17 TRIAL. SO THE QUESTION WAS WHY DO IT AT ALL.

18 OBVIOUSLY AT THAT TIME I WAS HANGING ON TO  
19 THE NOTION THAT MOST LIKELY, PART IN MY MIND, SO I  
20 WAS WILLING TO DO ANYTHING AT THAT TIME TO GET MY  
21 NORMAL LIFE BACK. LOOKING BACK, THE BIG PICTURE WAS  
22 LAYING THE GROUNDWORK FOR OTHERS LIKE CHRIS OR JAKE.  
23 AT THE TIME I HAD NO CLUE THAT WHAT I WAS DOING  
24 WOULD BE SUCH A BIG DEAL. THE DATA COLLECTED FROM  
25 ME WOULD END UP BEING PRICELESS. IT'S STORIES LIKE

**BETH C. DRAIN, CA CSR NO. 7152**

1 JAKE OR CHRIS THAT MAKE ME PROUD AND REINFORCE MY  
2 DECISION TO PARTICIPATE IN CALIFORNIA'S FIRST STEM  
3 CELL CLINICAL TRIAL FUNDED BY PROP 71.

4 LIKE I SAID EARLIER, THIS WAS JUST THE  
5 BEGINNING FOR ME. A COUPLE YEARS LATER I BECAME A  
6 PATIENT ADVOCATE WORKING WITH AMERICANS FOR CURES.  
7 BEEN ABLE TO MEET MANY PEOPLE IN THE STEM CELL  
8 INDUSTRY. AND I LOVE TO SEE THE GLOW ON THEIR FACE  
9 WHEN THEY LEARNED THAT I WAS CALIFORNIA'S FIRST  
10 EMBRYONIC STEM CELL PATIENT. I CAN'T EVEN FATHOM  
11 ALL THE YEARS AND HOURS OF HARD WORK THAT LED UP TO  
12 MY LONG ANTICIPATED SURGERY. BUT WHEN I SEE THE  
13 GLOW ON THEIR FACE, I KNOW THAT THEY KNEW EXACTLY  
14 WHAT IT TOOK.

15 I ALSO LIKE SHARING MY STORY AND BRIDGING  
16 THE GAP BETWEEN MISSING FACTS ABOUT STEM CELLS AND  
17 INSPIRING THE NEXT GENERATION THAT WILL BE IN THE  
18 STEM CELL INDUSTRY. AS A MATTER OF FACT, I HAVE A  
19 12-YEAR-OLD SISTER MADDIE THAT'S DEAD SET ON BEING A  
20 NEUROSCIENTIST.

21 FAST FORWARD TO TODAY, LIFE IN A  
22 WHEELCHAIR IS NOT EXACTLY A ROLL IN THE PARK. BUT  
23 I'VE GROWN ACCUSTOMED TO MY NEW NORMAL. AND ASIDE  
24 FROM SOME NEUROPATHIC PAIN, LIFE IS BACK ON TRACK.  
25 NOT ONCE DID I FEEL SORRY FOR MYSELF. I WAS EXCITED

**BETH C. DRAIN, CA CSR NO. 7152**

1 TO BE ALIVE. I DO HAVE BAD DAYS, BUT THE SAME  
2 NOTION, I THINK WE ALL DO.

3 IN THE PAST 14 YEARS CIRM HAS FUNDED NOW  
4 50 HUMAN CLINICAL TRIALS, PUBLISHED AROUND 2750 NEW  
5 PEER-REVIEWED SCIENTIFIC DISCOVERIES, AND THEY'VE  
6 TRANSFORMED CALIFORNIA INTO A WORLD LEADER IN STEM  
7 CELL RESEARCH. AS I LOOK AROUND AT THE POSTERS ON  
8 THE WALLS, ALL THE PEOPLE WHOSE LIVES HAVE BEEN  
9 TRANSFORMED BY THE AGENCY, I CAN'T HELP BUT BE  
10 STRUCK BY HOW MUCH HAS BEEN ACHIEVED IN A SHORT  
11 PERIOD OF TIME.

12 WHILE MY JOURNEY YET MIGHT NOT BE OVER,  
13 EVIE AND 40 OTHER CHILDREN LIKE HER WILL NEVER  
14 REMEMBER WHAT IT'S LIKE TO LIVE WITH A HORRIBLE  
15 DISEASE BECAUSE THEY HAVE BEEN CURED THANKS TO CIRM.  
16 THERE ARE HUNDREDS OF OTHERS WHOSE LIVES HAVE BEEN  
17 TRANSFORMED BECAUSE OF THE WORK THE AGENCY HAS  
18 FUNDED. CIRM HAS PROVEN HOW MUCH CAN BE ACHIEVED IF  
19 WE INVEST IN CUTTING-EDGE MEDICAL RESEARCH.

20 AS MOST OF YOU PROBABLY KNOW, CIRM'S  
21 FUNDING FROM PROP 71 IS ABOUT TO RUN OUT. IF I HAD  
22 JUST ONE MESSAGE I WANTED TO LEAVE WITH PEOPLE TODAY  
23 IT WOULD BE THIS: EVERYONE IN THIS ROOM KNOWS HOW  
24 MUCH CIRM HAS DONE IN A LITTLE OVER A DECADE AND HOW  
25 MANY LIVES HAVE BEEN CHANGED BECAUSE OF ITS

**BETH C. DRAIN, CA CSR NO. 7152**

1 EXISTENCE. WE HAVE THE RESPONSIBILITY TO MAKE THIS  
2 WORK CONTINUE. WE HAVE A RESPONSIBILITY TO MAKE  
3 SURE THAT THE STORIES WE'VE HEARD TODAY ARE JUST THE  
4 BEGINNING.

5 I'LL DO EVERYTHING I CAN TO MAKE SURE THE  
6 AGENCY GETS REFUNDED, AND I HOPE ALL OF YOU WILL  
7 JOIN ME IN THAT FIGHT. I'M EXCITED FOR THE ROLE OF  
8 THE STEM CELLS AND PARTICULARLY IN CALIFORNIA AND  
9 CAN'T WAIT TO SEE WHAT'S NEXT ON THE HORIZON. THANK  
10 YOU.

11 (APPLAUSE.)

12 CHAIRMAN THOMAS: THANK YOU VERY MUCH.  
13 RICH, THANK YOU SO MUCH. THAT WAS VERY POWERFUL. I  
14 ECHO MR. SHEEHY'S COMMENTS, THAT WHAT YOU'VE DONE  
15 HAS HELPED COUNTLESS OTHERS WHO WILL FOLLOW BEHIND  
16 YOU AND WAS CRITICAL TO THE SUCCESS OF THE INDUSTRY  
17 AND TO THE PATIENTS. AND WE REALLY, REALLY  
18 APPRECIATE YOUR COURAGE AND YOUR WILLINGNESS TO BE A  
19 PART OF THIS, AND IN PARTICULAR TO COME HERE TODAY  
20 TO TELL US YOUR STORY BECAUSE IT'S WHAT WE'RE ALL  
21 ABOUT IS HEARING THINGS LIKE THAT. SO THANKS SO  
22 MUCH FOR ALL THAT YOU'VE DONE.

23 MR. LAJARA: THANK YOU AGAIN. IT WAS AN  
24 HONOR TO BE HERE.

25 CHAIRMAN THOMAS: OKAY. WE'RE GOING TO

**BETH C. DRAIN, CA CSR NO. 7152**

1 MOVE ON NOW TO ONE OF OUR PERIODIC BITTERSWEET  
2 AGENDA ITEMS. AT THE END OF THIS MONTH, DR. LUBIN  
3 IS RETIRING AND, AS SUCH, WILL BE TRANSITIONING FROM  
4 THE BOARD. SO WE WANTED TO TAKE THIS OPPORTUNITY TO  
5 SAY A FEW THINGS ABOUT DR. LUBIN WHICH ARE ALL IN AN  
6 EFFORT TO PAINT WHAT HAS BEEN A MOST DISTINGUISHED  
7 CAREER THAT'S ABOUT TO EMBARK ON ITS NEXT STAGE.  
8 JUST A BIT OF BACKGROUND.

9 WE HAVE A RESOLUTION HERE, WHICH I WILL  
10 NOT READ, BUT I WILL GIVE YOU SOME OF THE  
11 HIGHLIGHTS. DR. LUBIN JOINED CHILDREN'S HOSPITAL  
12 AND RESEARCH CENTER OF OAKLAND AS IT WAS THEN KNOWN  
13 IN 1973 AS CHIEF OF HEMATOLOGY AND ONCOLOGY. IN  
14 1984 BECAME DIRECTOR OF MEDICAL RESEARCH THERE.  
15 UNDER HIS TUTELAGE, CHILDREN'S WENT FROM A SMALL  
16 RESEARCH PROGRAM INTO A HIGHLY SUCCESSFUL ENTERPRISE  
17 RENAMED CHILDREN'S HOSPITAL OAKLAND RESEARCH  
18 INSTITUTE OR CHORI, WHICH IS WHAT WE'VE KNOWN IT AS  
19 MOST IN RECENT YEARS.

20 THROUGHOUT HIS CAREER DR. LUBIN HAS SERVED  
21 ON COUNTLESS NIH COMMITTEES, COMMUNITY-BASED HEALTH  
22 CONSORTIA, SPOKEN NATIONWIDE ON A VARIETY OF PANELS,  
23 FOCUSED ON STUDIES, PRINCIPALLY RED CELL MEMBRANE  
24 STRUCTURE IN NORMAL AND PATHOLOGIC STATES, CLINICAL  
25 BASIC RESEARCH RELATED TO SICKLE CELL ANEMIA, PUBLIC

**BETH C. DRAIN, CA CSR NO. 7152**

1 HEALTH INITIATIVES RELATED TO NEWBORN SCREENING FOR  
2 HEMOGLOBIN DISORDERS, AND NATIONAL CORD BLOOD  
3 BANKING PROGRAMS. THIS WORK HE DID AT THE  
4 DEPARTMENT GREW HE AND HIS TEAM TO BE NATIONALLY AND  
5 INTERNATIONALLY RECOGNIZED FOR ITS OUTSTANDING CARE  
6 OF CHILDREN WITH MALIGNANCIES, SICKLE CELL ANEMIA,  
7 THALASSEMIA, AND HEMOPHILIA.

8 IN 2009 DR. LUBIN WAS CHOSEN TO BE  
9 PRESIDENT AND CEO OF WHAT ULTIMATELY BECAME UCSF  
10 BENIOFF CHILDREN'S HOSPITAL, WHICH IS WHAT IT'S NOW  
11 KNOWN AS, UNTIL HIS APPOINTMENT BY UCSF AS ASSOCIATE  
12 DEAN OF CHILDREN'S HEALTH IN 2016.

13 WITH RESPECT TO CIRM, STATE CONTROLLER  
14 JOHN CHIANG APPOINTED DR. LUBIN TO CIRM IN 2010 AND  
15 HE HAS SINCE BEEN A HIGHLY ENTHUSIASTIC PARTICIPANT  
16 IN MANY WAYS, INCLUDING AS MEMBERS OF THE  
17 COMMUNICATIONS AND SCIENCE SUBCOMMITTEES.

18 I JUST WANT TO POINT OUT THAT DR. LUBIN,  
19 BECAUSE HE IS LOCAL, HAS BEEN PARTICULARLY  
20 ACCESSIBLE TO US, AND WE'VE GONE OVER MANY TIMES TO  
21 HIS OFFICE AND HAD THE BENEFIT OF SEEING FIRSTHAND  
22 HIS UNENDING ENTHUSIASM FOR THE WORK THAT HE'S DONE  
23 THERE, WHICH CONTINUES TO THIS DAY. THE REVERENCE  
24 WITH WHICH HE'S HELD BY MEMBERS OF THE TEAM OVER  
25 THERE IN ALL RESPECTS AND THE JUST CHEERFUL DEMEANOR

**BETH C. DRAIN, CA CSR NO. 7152**

1 WHICH HE ALWAYS DEMONSTRATES NO MATTER WHAT THE  
2 SITUATION OR THE TASK AT HAND. IT'S BEEN A GREAT  
3 PLEASURE TO BE ABLE TO GO OVER AND SEE YOU IN YOUR  
4 NATURAL ENVIRONMENT OVER THERE, BERT. AND SO WE  
5 HAVE, AS I SAY, THIS RESOLUTION.

6 MR. TORRES: TO BE FRAMED LATER.

7 CHAIRMAN THOMAS: TO BE FRAMED LATER, YES.  
8 IT MAKES IT DIFFICULT TO HANDLE OTHERWISE.

9 LAST TWO LINES, "WHEREAS, DR. LUBIN WHOSE  
10 VAST EXPERIENCE, KNOWLEDGE, AND LEADERSHIP  
11 CONTRIBUTED GREATLY TO THE MOMENTUM OF DISCOVERY AND  
12 THE FUTURE THERAPIES WHICH WILL BE THE ULTIMATE  
13 OUTCOME OF THE DEDICATED WORK OF THE RESEARCHERS  
14 RECEIVING CIRM FUNDING, DOING SO WITH GRACE, HUMOR,  
15 AND RESPECT FOR HIS COLLEAGUES AND THE PUBLIC, BE IT  
16 RESOLVED THAT THE GOVERNING BOARD OF CALIFORNIA  
17 INSTITUTE FOR REGENERATIVE MEDICINE, ON BEHALF OF  
18 THE PEOPLE OF THE STATE OF CALIFORNIA, WISHES TO  
19 EXPRESS ITS DEEPEST GRATITUDE TO DR. BERT LUBIN FOR  
20 HIS SERVICE ON CIRM'S GOVERNING BOARD AND HIS  
21 DEDICATION TO ACCELERATE STEM CELL TREATMENTS TO  
22 PATIENTS WITH UNMET MEDICAL NEEDS."

23 I WILL, JUST BEFORE I HAND THIS OVER TO  
24 HIM, POINT OUT THAT IN OUR LAST VISIT, MARIA  
25 BONNEVILLE AND I WENT OVER TO SEE BERT IN HIS



**BETH C. DRAIN, CA CSR NO. 7152**

1 OFFICES, AND HIS INTENTION TO, AS SICKLE CELL IS ONE  
2 OF HIS GREAT PASSIONS, TO CONTINUE TO WORK WITH US  
3 IN THE CONTEXT OF, AMONG OTHER THINGS, THE MOU THAT  
4 DR. MILLAN AND TEAM EXPERTLY CREATED WITH NIH IN  
5 CONNECTION WITH SICKLE CELL DISEASE AND LOOKS FOR  
6 PROMISING CURES, DR. LUBIN WILL BE A CENTRAL PART OF  
7 THAT AND HELP US IN THE QUEST TO COME UP WITH AN END  
8 TO THAT TERRIBLE DISEASE.

9 BERT, IF YOU JUST COME HERE, I'D LIKE TO  
10 GIVE YOU THIS CERTIFICATE AND, OF COURSE, WE NEED A  
11 SPEECH.

12 (APPLAUSE.)

13 DR. LUBIN: THIS IS WONDERFUL, AND I  
14 REALLY APPRECIATE IT. SO I'M REALLY GRATEFUL TO BE  
15 ON THE BOARD. THERE'S SOME WONDERFUL PEOPLE AND  
16 CIRM HAS DONE SO MANY WONDERFUL THINGS. AND IT'S AN  
17 HONOR TO BE PART OF A GROUP THAT FUNCTIONS LIKE WE  
18 FUNCTION AND THAT BENEFITS PATIENTS LIKE WE'VE SEEN  
19 TODAY AND SEEN IN ALL OF THE MEETINGS THAT WE'VE  
20 BEEN HERE.

21 SOME OF YOU THAT KNOW ME PRETTY WELL, THAT  
22 THIS PAST YEAR, SIX MONTHS AGO, I WAS DIAGNOSED WITH  
23 A GLIOBLASTOMA. BUT TO COME TO A BOARD MEETING AND  
24 HEAR DISCUSSIONS ABOUT GLIOBLASTOMA, WHEN YOU ARE A  
25 PATIENT YOURSELF, JUST BRINGS IT SO STRONG THAT THIS

**BETH C. DRAIN, CA CSR NO. 7152**

1 IS SO IMPORTANT TO DO. FORTUNATELY, I'M DOING WELL  
2 SIX MONTHS LATER. MY NEUROLOGIC SYMPTOMS ARE  
3 NORMAL. AS MY NEURO-ONCOLOGIST AT UCSF SAID, "BERT,  
4 I WANT YOU TO EMBRACE FIVE THINGS." THIS IS GOOD  
5 FOR EVERYBODY, NOT JUST IF YOU HAVE A BRAIN TUMOR.  
6 "ONE IS GOOD DIET, TWO IS GOOD SLEEP, THREE IS GOOD  
7 EXERCISE, FOUR IS JOY, AND FIVE IS NOVELTY." I  
8 THINK HAVING THOSE IN YOUR LIFE REALLY ARE ALL GOOD  
9 THINGS, AND EVERYONE ON THIS BOARD HAS THAT BY  
10 VIRTUE OF BEING ON THIS BOARD.

11 ON THE 27TH OF THIS MONTH A BIG EVENT WAS  
12 HELD AT CHILDREN'S HONORING MY 43 YEARS OF BEING AT  
13 CHILDREN'S FOR 43 YEARS. AND NEXT MONTH I'LL BE 80.  
14 SO OVER HALF OF MY LIFE I'VE WORKED AT CHILDREN'S  
15 HOSPITAL IN OAKLAND. IT WAS A WONDERFUL EVENT. I  
16 SAID I'M GOING TO RETIRE THE WORD "RETIREMENT." I  
17 THINK IT'S A MISTAKE FOR PEOPLE THAT ARE SO ENGAGED  
18 IN SO MANY ACTIVITIES TO JUST STOP BECAUSE THEY'VE  
19 REACHED A PARTICULAR AGE. SO I'M REPURPOSING THE  
20 REST OF MY LIFE RELATED TO THINGS THAT CHAIRMAN  
21 THOMAS MENTIONED TODAY, BUT THE OTHER THINGS RELATED  
22 TO SOCIAL JUSTICE AND ACCESS.

23 AND I KNOW AN ISSUE THAT'S BEEN HERE FOR  
24 CIRM FROM THE BEGINNING IS IS WHAT WE'RE DOING  
25 AVAILABLE FOR EVERYONE IN OUR SOCIETY AND NOT JUST

**BETH C. DRAIN, CA CSR NO. 7152**

1 THOSE THAT CAN AFFORD TO GET TO THE PLACE WHERE  
2 THESE TREATMENTS ARE GIVEN. I KNOW EVERYONE HOLDS  
3 THAT NEAR AND DEAR TO THEM, AND I THINK WE REALLY  
4 HAVE TO EMBRACE THAT AS WE GO FORWARD WITH FUTURE  
5 FUNDING AND REALLY BE SUCCESSFUL IN DOING ALL THE  
6 THINGS WE'VE DONE.

7 I THOUGHT TODAY'S PRESENTATION, THE ONE  
8 SLIDE WITH ALL OF THE DIFFERENT DISEASES THAT HAVE  
9 BEEN APPROACHED BECAUSE OF FUNDING THROUGH CIRM, IT  
10 WAS REMARKABLE. I'M JUST SO PROUD TO BE PART OF IT,  
11 AND I WANT TO THANK ALL OF YOU. AND I REALLY WANT  
12 THE PUBLIC TO KNOW ALL THOSE THINGS THAT WERE ON  
13 THAT SLIDE BECAUSE IT WAS AMAZING TO ME, AND I DON'T  
14 THINK ENOUGH PEOPLE KNOW ABOUT IT.

15 SO THANK YOU VERY MUCH FOR THIS. I WILL  
16 PUT IT TOGETHER WITH MY ONE NEXT TO NANCY SKINNER  
17 AND ROB BEHUNTA (PHONETIC), AND TONY THURMAN, WHICH  
18 I EMBRACE IN MY OFFICE, AND IT WILL BE EQUALLY  
19 IMPORTANT. AND I WISH YOU ALL GOOD LUCK AS WE MOVE  
20 FORWARD, AND I AM DEVOTED TO HELPING YOU IN ANY WAY  
21 I CAN POSSIBLY DO IT. AND THE ONE AREA THAT I'VE  
22 BEEN VERY SUCCESSFUL IN IS PHILANTHROPY. A LOT OF  
23 PEOPLE KNOW ME, THEY TRUST ME, THEY BELIEVE ME, AND  
24 I'M GOING TO PUT MY EFFORTS INTO WORKING WITH THE  
25 GROUP TO SEEK WHATEVER PHILANTHROPIC RESOURCES WE

**BETH C. DRAIN, CA CSR NO. 7152**

1 CAN TO SUSTAIN AS A BRIDGE AND FOR OUR FUTURE. SO  
2 THANK YOU AGAIN. THANK YOU VERY MUCH.

3 (APPLAUSE.)

4 MR. TORRES: IN 1978 I TOOK OVER AS  
5 CHAIRMAN OF THE ASSEMBLY HEALTH COMMITTEE. I WAS A  
6 YOUNG LEGISLATOR. OF COURSE, BERT CAME TO OAKLAND  
7 IN '73. AND EVEN THEN IN '78 HE WAS RENOWN  
8 ESPECIALLY WHEN IT CAME TO CHILDREN. I'M JUST EVER  
9 SO GRATEFUL THAT ALL THOSE YEARS THAT I HAVE KNOWN  
10 BERT OFF AND ON, NOW MORE CLOSELY AS CO-MEMBERS OF  
11 THIS BOARD, HAVE ALWAYS BEEN REplete WITH TREMENDOUS  
12 ACHIEVEMENTS, BUT MORE THAN THAT, JUST HIS HUMILITY  
13 OF WHAT HE'S BEEN ABLE TO ACHIEVE. AND I THINK THAT  
14 IS CLEARLY A THANK YOU FROM ALL CALIFORNIANS FOR  
15 YOUR LEADERSHIP, BERT.

16 DR. LUBIN: THANK YOU VERY MUCH.

17 (APPLAUSE.)

18 CHAIRMAN THOMAS: OKAY. WE ARE GOING TO  
19 POWER THROUGH HERE. THOSE OF YOU WHO ARE LITTLE ON  
20 THE HUNGRY SIDE, WE WANT TO GET TO OUR CLOSED  
21 SESSION FIRST. BEFORE AT THAT POINT, WE WILL BE AT  
22 THE END OF THE MEETING. SO, MR. TOCHER, IF YOU  
23 COULD ADVISE US AND GIVE US THE APPROPRIATE CODE  
24 SECTION AND CHAPTER AND VERSE.

25 MR. TOCHER: SO CHRISTMAS COMES EARLY TO

**BETH C. DRAIN, CA CSR NO. 7152**

1 THE BOARD THIS YEAR. WE HAVE A DISTINGUISHED  
2 ALUMNUS OF THE ORGANIZATION TO JOIN YOU IN YOUR  
3 CLOSED SESSION TO DISCUSS PERSONNEL PURSUANT TO  
4 HEALTH AND SAFETY CODE SECTION 125290.30(F)(3)(D).  
5 SO I THINK YOU WILL BE MEETING IN A MOMENT.

6 CHAIRMAN THOMAS: MR. HARRISON IS IN THE  
7 HOUSE.

8 MS. BONNEVILLE: FOR THOSE OF YOU ON THE  
9 PHONE, IF YOU DO NOT HAVE THE CLOSED SESSION NUMBER,  
10 PLEASE E-MAIL ME AND I WILL SEND IT TO YOU.

11 CHAIRMAN THOMAS: SO FOR MEMBERS OF THE  
12 BOARD, WE'RE GOING TO BE GOING TO A CONFERENCE ROOM  
13 EXIT STAGE MY LEFT OVER HERE BACK IN MARIA AND MY  
14 OFFICE, AND WE WILL CONVENE THERE IN A COUPLE  
15 MINUTES. SO WE STAND TEMPORARILY ADJOURNED, BUT NOT  
16 ADJOURNED. WE WILL BE COMING BACK AT THE END TO  
17 REPORT ON ANY UNFINISHED BUSINESS. THANK YOU.

18 (THE BOARD THEN ADJOURNED TO CLOSED  
19 SESSION. AT THE CONCLUSION OF THE CLOSED SESSION,  
20 THE FOLLOWING WAS THEN HEARD IN OPEN SESSION:)

21 CHAIRMAN THOMAS: ANY PUBLIC COMMENT ON  
22 ANY TOPIC OF ANY NOTE FROM ANYBODY ANYWHERE? REALLY  
23 OPENING IT UP. OKAY. HEARING NONE, I WANT TO GIVE  
24 SPECIAL THANKS TO EVERYBODY ON THE TEAM WHO MAKES  
25 ALL OF THESE IN-PERSON MEETINGS POSSIBLE. THERE'S A

**BETH C. DRAIN, CA CSR NO. 7152**

1 LOT OF LOGISTICS THAT GO INTO THIS. TO DOUG AND  
2 PATRICIA AND ELIANA AND EVERYBODY, CHILA, AND  
3 APOLOGIZE IF I'M LEAVING SOMEBODY OUT -- WE ALREADY  
4 JUST TALKED ABOUT YOU AT LENGTH. SHE TELLS ME THAT.  
5 SO THANKS TO ALL OF YOU FOR THE LOGISTICS OF MAKING  
6 THESE THINGS HAPPEN. THEY DON'T JUST MAGICALLY  
7 APPEAR. WE DO HAVE THE OCCASIONAL AV ISSUE FROM  
8 TIME TO TIME, THOSE WILL HAPPEN, BUT THANK YOU ALL.  
9 AND WANTED TO SAY THAT I THINK THIS HAS BEEN A VERY  
10 SUCCESSFUL YEAR FROM THE STANDPOINT OF THE AGENCY.  
11 AS AN OVERALL TEAM EFFORT, WE CONTINUE TO MAKE  
12 THINGS HAPPEN AND SET THE STAGE FOR ULTIMATE  
13 THERAPIES AND CURES FOR PEOPLE WITH UNMET MEDICAL  
14 NEEDS, WHICH IS WHY WE'RE ALL HERE. SO WANT TO WISH  
15 EVERYBODY A HAPPY HOLIDAY. THANK YOU VERY MUCH FOR  
16 ALL YOU DO. WE REALLY APPRECIATE IT. WITH THAT, WE  
17 STAND ADJOURNED.

18 (THE MEETING WAS THEN CONCLUDED AT  
19 1:20 P.M.)

20  
21  
22  
23  
24  
25

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE TELEPHONIC PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE AND THE APPLICATION REVIEW SUBCOMMITTEE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

1999 HARRISON STREET  
SUITE 1650  
OAKLAND, CALIFORNIA  
ON  
DECEMBER 13, 2018

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CA CSR 7152  
133 HENNA COURT  
SANDPOINT, IDAHO  
(208) 255-5453