

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
AND THE
APPLICATION REVIEW SUBCOMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: AS INDICATED ON THE AGENDA

DATE: THURSDAY, FEBRUARY 18, 2016
11 A.M.

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO.: 98345

BARRISTERS' REPORTING SERVICE

I N D E X

ITEM DESCRIPTION	PAGE NO.
1. CALL TO ORDER	3
2. ROLL CALL	3
3. CONSIDERATION OF APPLICATIONS SUBMITTED IN RESPONSE TO CLIN 1: PARTNERING OPPORTUNITY FOR LATE STAGE PRECLINICAL PROJECTS. CLIN1-08342	4
4. CLOSED SESSION	NONE
5. PUBLIC COMMENT	NONE
6. ADJOURNMENT	21

BARRISTERS' REPORTING SERVICE

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

THURSDAY, FEBRUARY 18, 2016
11 A.M.

CHAIRMAN THOMAS: GOOD MORNING, EVERYBODY.
WELCOME TO THIS RENDITION OF THE APPLICATION REVIEW
SUBCOMMITTEE. MARIA, WILL YOU PLEASE CALL THE ROLL.

MS. BONNEVILLE: SURE.

DAVID BRENNER. LINDA BOXER. KEN BURTIS.
ANNE-MARIE DULIEGE.

DR. DULIEGE: YES.

MS. BONNEVILLE: LEON FINE. MICHAEL
FRIEDMAN. JUDY GASSON. SAM HAWGOOD. DAVID
HIGGINS.

DR. HIGGINS: HERE.

MS. BONNEVILLE: STEVE JUELSGAARD.

DR. JUELSGAARD: HERE.

MS. BONNEVILLE: SHERRY LANSING. KATHY
LAPORTE.

MS. LAPORTE: HERE.

MS. BONNEVILLE: BERT LUBIN. SHLOMO
MELMED. LAUREN MILLER.

MS. MILLER: HERE.

MS. BONNEVILLE: ADRIANA PADILLA.

DR. PADILLA: HERE.

MS. BONNEVILLE: JOE PANETTA.

BARRISTERS' REPORTING SERVICE

1 MR. PANETTA: HERE.
2 MS. BONNEVILLE: ROBERT PRICE. FRANCISCO
3 PRIETO.
4 DR. PRIETO: HERE.
5 MS. BONNEVILLE: CARMEN PULIAFITO. ROBERT
6 QUINT. AL ROWLETT. JEFF SHEEHY.
7 MR. SHEEHY: HERE.
8 MS. BONNEVILLE: OS STEWARD.
9 DR. STEWARD: HERE.
10 MS. BONNEVILLE: JONATHAN THOMAS.
11 CHAIRMAN THOMAS: HERE.
12 MS. BONNEVILLE: ART TORRES. KRISTINA
13 VUORI. DIANE WINOKUR.
14 MR. ROWLETT: AL ROWLETT JUST JOINED.
15 MS. BONNEVILLE: THANK YOU, AL.
16 CHAIRMAN THOMAS: GOOD MORNING, AL.
17 SO WE HAVE ONE ITEM FOR CONSIDERATION
18 TODAY WHICH IS CONSIDERATION OF APPLICATIONS
19 SUBMITTED IN RESPONSE TO CLIN1: PARTNERING
20 OPPORTUNITY FOR LATE STAGE PRECLINICAL PROJECTS. WE
21 HAVE ONE TO BE DISCUSSED TODAY. WE'LL TURN IT OVER
22 TO DR. SAMBRANO.
23 DR. SAMBRANO: THANK YOU, J.T. GOOD
24 MORNING, EVERYBODY. I'M JUST GOING TO PROVIDE AN
25 OVERVIEW FOR THIS PROGRAM AND WHAT THE

BARRISTERS' REPORTING SERVICE

1 RECOMMENDATIONS FROM THE GRANTS WORKING GROUP ARE
2 REGARDING THIS APPLICATION.

3 SO JUST A REMINDER ABOUT THE CLINICAL
4 STAGE PROGRAM WHICH ACCEPTS AND SUPPORTS
5 APPLICATIONS FOR PROJECTS THAT ARE AT VARIOUS STAGES
6 ALONG THE CLINICAL PIPELINE FROM IND-ENABLING
7 THROUGH CLINICAL TRIAL. THIS PARTICULAR PROJECT IS
8 A CLIN1 APPLICATION IN WHICH THEY ARE SEEKING TO DO
9 IND-ENABLING WORK TO READY THEM FOR A CLINICAL
10 TRIAL.

11 A REMINDER OF THE SCORING SYSTEM THAT WE
12 UTILIZE FOR THIS CLINICAL PROGRAM, WHICH IS A SCORE
13 1, 2, OR 3. A SCORE OF 1 MEANS THAT THE GRANTS
14 WORKING GROUP FELT THE APPLICATION HAD EXCEPTIONAL
15 MERIT AND WARRANTS FUNDING. A SCORE OF 2 MEANS THAT
16 IT NEEDS IMPROVEMENT AND DOES NOT WARRANT FUNDING AT
17 THIS TIME, BUT THEN COULD BE RESUBMITTED TO ADDRESS
18 THE PROBLEM AREAS. AND A SCORE OF 3 WHICH MEANS
19 THAT IT IS SUFFICIENTLY FLAWED THAT IT DOES NOT
20 WARRANT FUNDING AT THIS TIME AND SHOULD NOT BE
21 RESUBMITTED.

22 THIS SPECIFIC PROGRAM, CLIN1-08342, IS A
23 DEVELOPMENT PROGRAM FOR A PRODUCT INTENDED TO
24 IMPROVE CORD BLOOD TRANSPLANT OUTCOMES. THEIR
25 PRODUCT IS CALLED AB-110, AND WHAT IT IS, IT'S A

BARRISTERS' REPORTING SERVICE

1 CD34+ CORD BLOOD-DERIVED HEMATOPOIETIC STEM CELL AND
2 PROGENITOR CELL THAT ARE CO-CULTURED AND THEY ARE
3 CO-INFUSED WITH GENETICALLY MODIFIED ENDOTHELIAL
4 CELLS. SO THIS COMBINATION OF CELL PRODUCT IS
5 INTENDED TO HELP PATIENTS THAT HAVE
6 LIFE-THREATENING, HIGH RISK HEMATOLOGIC MALIGNANCIES
7 SUCH AS LEUKEMIA AND LYMPHOMA. AND, OF COURSE, THE
8 GOAL OF THEIR PROJECT IS TO COMPLETE ALL THE
9 PRECLINICAL RESEARCH ACTIVITIES TO GET TO AN IND
10 SUBMISSION AND COMMENCE A PHASE I TRIAL.

11 SPECIFIC ACTIVITIES ARE TO DEVELOP AND
12 VALIDATE THEIR GMP PROCESS, TO MANUFACTURE THIS
13 COMPLEX PRODUCT IN A CLOSED BIOREACTOR SYSTEM, TO
14 COMPLETE THE SAFETY ASSESSMENT OF THEIR ENDOTHELIAL
15 CELL COMPONENT, AND TO PREPARE AND FILE AN IND
16 APPLICATION WITH THE FDA TO BEGIN THEIR STUDIES.

17 THE AMOUNT OF FUNDS REQUESTED ARE ABOUT
18 3.8 MILLION AND PROVIDE CO-FUNDING AT 20 PERCENT. I
19 WANT TO MAKE A NOTE ABOUT THE FUNDS REQUESTED.
20 FOLLOWING THE GWG REVIEW, WE WORKED WITH THIS GROUP
21 IN ORDER TO INCREASE THE AMOUNT OF FUNDING THAT IS
22 BEING REQUESTED BY 400,000. THIS IS IN RESPONSE TO
23 SPECIFIC COMMENTS MADE BY THE GRANTS WORKING GROUP
24 RELATED TO THE COMPARABILITY OF THEIR BIOREACTOR
25 SYSTEM VERSUS WHAT THEY HAD PREVIOUSLY PROPOSED,

BARRISTERS' REPORTING SERVICE

1 WHICH THESE WERE STUDIES THAT THEY FELT WOULD BE
2 IMPORTANT TO DO. AND WITH THE ADDITION OF MORE TIME
3 TO ACCOMPLISH THESE, IT REQUIRED 400,000 ADDITIONAL
4 DOLLARS. SO THAT'S INCLUDED IN THE AMOUNT THAT WE
5 ARE NOTING THERE FOR THE AMOUNT REQUESTED.

6 AS WITH ALL PROJECTS, THEY UNDERGO AN
7 INITIAL BUDGET REVIEW, WHICH THIS APPLICATION, OF
8 COURSE, PASSED, TO GET TO THE GRANTS WORKING GROUP.
9 THE GRANTS WORKING GROUP GAVE IT A SCORE OF 1 WITH
10 EIGHT VOTES SCORING IN THE 1 RANGE AND FOUR
11 INDIVIDUALS SCORING IT AT 2, AND NO ONE SCORING THIS
12 A 3.

13 THE CIRM TEAM ALSO REVIEWS THESE
14 RECOMMENDATIONS FROM THE GRANTS WORKING GROUP. WE
15 CONCUR WITH THE GRANTS WORKING GROUP RECOMMENDATION
16 TO FUND THIS PROJECT. AND, OF COURSE, OF NOTE, THIS
17 WAS A PROJECT THAT WAS SUBMITTED BACK IN SUMMER LAST
18 YEAR. AND UNDER THE ORIGINAL REVIEW, IT RECEIVED A
19 2. SO THEY TOOK A FEW MONTHS TO REVISE THEIR
20 PROJECT AND PROVIDE A NEW PROPOSAL WHICH WAS WELL
21 RECEIVED BY THE GRANTS WORKING GROUP PANEL, WHICH,
22 IF YOU LOOK TO THE SUMMARY, HAS A LOT TO DO WITH THE
23 REASON THEY FELT THIS NOW MERITS FUNDING. AND SO
24 THEIR NEW SCORE IS A 1 REFLECTING ALL THE CHANGES
25 THAT WERE MADE OVER THIS TIME.

BARRISTERS' REPORTING SERVICE

1 SO THAT'S ALL I HAVE. AND IF YOU HAVE
2 QUESTIONS, HAPPY TO ADDRESS THEM.

3 CHAIRMAN THOMAS: THANK YOU, DR. SAMBRANO,
4 FOR THE DISCUSSION ON THE PROPOSAL. I TURN THE
5 MEETING NOW OVER TO MR. SHEEHY.

6 MR. SHEEHY: THANK YOU, J.T. SO IN ORDER
7 TO START, I THINK IF SOMEONE WOULD LIKE TO MAKE
8 EITHER A MOTION TO ACCEPT THE GRANTS WORKING GROUP'S
9 RECOMMENDATION TO FUND THIS PROJECT OR TO REJECT THE
10 RECOMMENDATION AND NOT FUND IT.

11 DR. DULIEGE: I'M HAPPY TO MAKE A MOTION.

12 MR. SHEEHY: TO FUND?

13 DR. DULIEGE: YES.

14 MR. SHEEHY: THANK YOU, ANNE-MARIE. IS
15 THERE A SECOND?

16 DR. HIGGINS: YES, A SECOND.

17 MR. SHEEHY: GREAT. ANY DISCUSSION?

18 MR. PANETTA: JEFF, THIS IS JOE PANETTA.
19 MAY I ASK A QUESTION?

20 MR. SHEEHY: SURE, PLEASE.

21 MR. PANETTA: I'M IN FAVOR -- WELL, WE'LL
22 GET TO THE VOTE. BUT I'M A LITTLE UNCLEAR AS TO THE
23 DEGREE TO WHICH THE MANUFACTURING PROCESS IS A
24 CONCERN WITHIN THIS APPLICATION OR IF THIS IS
25 SOMETHING THAT WILL NEED TO BEGIN TO BE DEALT WITH

BARRISTERS' REPORTING SERVICE

1 WITHIN THIS GRANT AND THEN WORKED ON FINALLY LATER
2 ON? BECAUSE IN READING THROUGH THE SUMMARY, I HAD
3 THE IMPRESSION THAT THERE ARE STILL CONCERNS ABOUT
4 THE RIGOR OF THE MANUFACTURING PROCESS.

5 DR. SAMBRANO: YES. SO THERE WERE
6 CONCERNS WITH THE ORIGINAL --

7 MS. WINOKUR: EXCUSE ME. THIS IS DIANE.

8 DR. SAMBRANO: THANKS FOR JOINING US.

9 SO THERE WERE CONCERNS WITH THE ORIGINAL
10 SUBMISSION REGARDING THE MANUFACTURING PROCESS GIVEN
11 THE COMPLEX NATURE OF THIS PRODUCT. AND SO THE
12 APPLICANTS HAVE ADDRESSED THAT IN PART BY PROPOSING
13 NOW A CLOSED BIOREACTOR SYSTEM TO MANUFACTURE THIS
14 PRODUCT. BUT, YES, THERE ARE STILL SOME CONCERNS
15 ABOUT DEMONSTRATING COMPARABILITY WITH THEIR
16 ORIGINAL PROCESS TO SHOW THAT THEY CAN ATTAIN A
17 SIMILAR EFFICACY IN MODELS WITH THE PRODUCT
18 MANUFACTURED IN THIS WAY. SO PART OF IT IS THAT.

19 THE OTHER IS CONTINUING TO DEVELOP A
20 PROCESS THAT'S GOING TO BE COST-EFFECTIVE AS THEY
21 MOVE FORWARD. HOWEVER, THE GRANTS WORKING GROUP
22 FELT THAT THESE ARE ELEMENTS THAT ARE FIXABLE. AND
23 AS THEY MOVE FORWARD IN THE DEVELOPMENT PIPELINE,
24 THAT WORKING TOGETHER WITH CIRM, THESE ARE
25 RESOLVABLE, AND THEY FELT COMFORTABLE THAT THIS WAS

BARRISTERS' REPORTING SERVICE

1 SOMETHING THAT THEY FELT SHOULD BE FUNDED WITH THE
2 CAVEAT THAT THEY WOULD BE WORKING CLOSELY WITH CIRM
3 TO ADDRESS ISSUES.

4 MR. PANETTA: OH, GREAT. OKAY. THAT
5 ANSWERS MY QUESTION. THANK YOU.

6 MR. SHEEHY: ARE THERE ADDITIONAL --

7 DR. JUELSGAARD: JEFF, THIS IS STEVE
8 JUELSGAARD. YES, I DID HAVE SOME ADDITIONAL
9 QUESTIONS. THEY SORT OF LIE IN TWO DIFFERENT AREAS.

10 SO, FIRST, I WANT TO FOLLOW UP ON THE
11 QUESTION THAT JOE JUST RAISED, BUT LOOK AT IT A
12 LITTLE DIFFERENTLY. SO IF YOU LOOK AT, NOT AT THE
13 PRESENTATION, BUT AT THE CLINICAL PROJECT, THE
14 REVIEW THAT THE GWG GAVE, AT THE VERY END OF THAT
15 UNDER IS THE PROJECT FEASIBLE AND THEN SUB-C. AND
16 IT SAYS, "IT IS NOT CLEAR THAT THE TEAM FULLY
17 UNDERSTAND THE SIGNIFICANCE OF SWITCHING TO A NEW
18 BIOREACTOR PLATFORM AND THE NEED TO REPEAT MUCH OF
19 THE PREVIOUS NONBIOREACTOR EXPERIMENTAL TEST RESULTS
20 IN ORDER TO SHOW COMPARABILITY. THIS IS NOT
21 ACCOUNTED FOR IN THE CURRENT PROJECT PLAN OR IN THE
22 CONTINGENCY PLAN."

23 SO I JUST WANT TO -- FOR ME THAT'S AN
24 IMPORTANT CRITICISM BECAUSE WHAT THEY'RE DOING IS
25 THEY'RE GOING TO A WHOLLY DIFFERENT PROCESS THAN THE

BARRISTERS' REPORTING SERVICE

1 ONE THAT THEY HAVE BEEN USING. NOW THEY USE A
2 BIOREACTOR INSTEAD OF WHATEVER THE PREVIOUS PROCESS
3 IS. AND SO AT THE END OF THE DAY, WHEN YOU'RE
4 DEALING WITH BIOLOGICS, BIOLOGICS ARE THE OUTCOME OF
5 A PROCESS. AND SO THE PROCESS MAKES A DIFFERENCE.
6 SO THIS QUESTION, DR. SAMBRANO, IS REALLY RELATED TO
7 OUR PLANS FOR MONITORING WHAT THEY'RE DOING AND
8 PUTTING IN STOPPING POINTS ALONG THE WAY IF THEY
9 SEEM TO BE REACHING DEADENDS OR DIFFICULTIES. THIS
10 IS NOT A SIMPLE THING IN MY MIND, THIS CHANGEOVER TO
11 A WHOLLY DIFFERENT PROCESS. AND I THINK WE NEED TO
12 REALLY, IN MY MIND, STAY ON TOP OF IT; AND IF THIS
13 DOESN'T SEEM TO BE HEADED IN THE RIGHT DIRECTION, WE
14 NEED TO BE ABLE TO PUT THE BRAKES ON WHETHER TO SLOW
15 IT DOWN OR TO STOP IT COMPLETELY.

16 IN ADDITION TO THAT, I THEN ALSO SEE IN
17 THESE COMMENTS THAT THEY SAY THEY'RE HEAVILY RELIANT
18 ON A SINGLE INDIVIDUAL -- THIS IS ACTUALLY IN B JUST
19 BEFORE THAT -- TO DEVELOP THEIR MANUFACTURING
20 PROCESS. SO THERE'S ALWAYS A RISK WHEN YOU'RE DOWN
21 TO KIND OF ONE PERSON WHO IS THE PERSON YOU'RE
22 REALLY LEANING ON ON ALL OF THIS. AND, AGAIN, IF
23 SOMETHING WERE TO HAPPEN TO THAT INDIVIDUAL, THEY
24 WERE TO LEAVE OR THEY WERE TO BECOME INCAPACITATED
25 OR WHATEVER, AND AGAIN, WE NEED TO BE ABLE, AT LEAST

BARRISTERS' REPORTING SERVICE

1 IN MY MIND, TO THROTTLE THIS BACK A LITTLE BIT.

2 SO IF YOU CAN RESPOND TO THOSE THINGS, I
3 WOULD APPRECIATE IT.

4 DR. SAMBRANO: SURE. AND, YES, YOU'RE
5 ABSOLUTELY RIGHT. THESE WERE CONCERNS FROM THE
6 GRANTS WORKING GROUP. THAT IS ALSO THE REASON WHY
7 WE FELT COMPELLED, BEFORE BRINGING THIS PROJECT TO
8 YOU, THAT WE TRY TO BEGIN WORKING WITH THEM AND
9 ADDRESS THESE ISSUES.

10 SO I MENTIONED THAT THE AMOUNT REQUESTED
11 HAS INCREASED A BIT, AND PART OF IT WAS TO NOW
12 INCLUDE THOSE COMPARABILITY STUDIES THAT THE GRANTS
13 WORKING GROUP WAS CONCERNED ABOUT WITHIN THIS PLAN
14 AND TO ALSO SET MILESTONES THAT CLEARLY DELINEATE
15 THE ACCOMPLISHMENT OF THOSE ACTIVITIES AND SET A
16 PLAN FOR THEM TO GO FORWARD.

17 SO THOSE HAVE BEEN TAKEN INTO ACCOUNT
18 BECAUSE OF THE CONCERNS OF THE GRANTS WORKING GROUP,
19 AND SO WE HAVE ALREADY BEGUN TO WORK WITH THEM IN
20 ORDER TO REMEDY AND ADDRESS THEM.

21 DR. MILLS: SO THE SECOND THING IS UNDER,
22 GENERALLY SPEAKING, YOUR CONCERNS THROUGHOUT HERE
23 ARE, BUT THIS IS A PRECLINICAL STAGE AND
24 IND-ENABLING PHASE OF WORK. SO CLEARLY SOME OF
25 THESE THINGS THAT ARE BEING DONE NEED TO BE DONE IN

BARRISTERS' REPORTING SERVICE

1 ORDER TO GET TO THE IND AND THERE'S INHERENT RISK
2 ABOUT THAT. BUT, IN GENERAL, THE APPROACH TO
3 EVERYTHING WE DO UNDER 2.0 IS NOW MILESTONE BASED.
4 SO IF THEY DON'T ACCOMPLISH MILESTONE A, THERE IS NO
5 MILESTONE B. SO THE PROCESS ITSELF TAKES CARE OF A
6 LOT OF YOUR CONCERNS.

7 DR. JUELSGAARD: JUST SPEAKING TO THAT,
8 RANDY, REAL QUICKLY, SO I PICK UP ON THE POINT THAT
9 THEY MADE, WHICH IS THAT THEY'RE HIGHLY DEPENDENT ON
10 A SINGLE INDIVIDUAL FOR THE MANUFACTURING PROCESS.
11 MANUFACTURING A PARTICULAR PROCESS DEVELOPMENT IS A
12 SPECIALIZED AREA. SO WHEN WE HAVE SOMEBODY ON OUR
13 SIDE LOOKING OVER THEIR SHOULDER AT WHAT THEY'RE
14 DOING, IS THAT SOMEBODY INTERNALLY OR EXTERNALLY
15 THAT'S JUDGING THAT WE'RE HEADED OR THEY'RE HEADED
16 IN THE RIGHT DIRECTION WITH REDOING THIS USING A
17 BIOREACTOR APPROACH? SO WHAT'S THE EXPERTISE THAT
18 WE RELY ON TO MAKE SURE THAT WE THINK THEY'RE MAKING
19 PROGRESS?

20 DR. MILLS: BECAUSE THIS WOULD BE A
21 CLINICAL STAGE PROGRAM, IT WOULD EVENTUALLY HAVE A
22 CAP, A CLINICAL ADVISORY PANEL, AND THAT WILL
23 INCLUDE INTERNAL EXPERTS, EXTERNAL EXPERTS, AND AT
24 LEAST ONE PATIENT ADVOCATE. AND THAT GROUP MEETS
25 QUARTERLY TO LOOK AT WHAT THEY'RE DOING AND GO OVER

BARRISTERS' REPORTING SERVICE

1 THESE ISSUES AND HELP WHERE NEED BE.

2 DR. JUELSGAARD: SO THE SHORT ANSWER TO MY
3 QUESTION IS THAT WE DO HAVE SOMEBODY WITH PROCESS
4 EXPERIENCE THAT WILL BE INVOLVED IN THIS FROM EARLY
5 ON, AND WE'LL BASICALLY BE GETTING FEEDBACK ON HOW
6 THIS CHANGEOVER TO A BIOREACTOR PROCESS IS WORKING?

7 DR. MILLS: YEAH. THE WAY WE FORMULATE
8 THE CAP, STEVE, IS THAT WE LOOK AT THE PROJECT AND
9 WE LOOK AT ITS OVERALL NEEDS. AND SO BASICALLY WHAT
10 ARE THE THINGS DURING THIS PROJECT'S LIFE THAT ARE
11 GOING TO BE MOST LIKELY TO KEEP IT FROM BEING
12 SUCCESSFUL? AND THEN WE POPULATE THAT CAP WITH
13 INDIVIDUALS THAT ALIGN MOST CLOSELY AND BEST TO BE
14 ABLE TO MITIGATE THOSE RISKS AND GIVE IT THE BEST
15 CHANCE FOR SUCCESS. THAT MAKE SENSE?

16 DR. JUELSGAARD: YES. AGAIN, I THINK IT'S
17 HAVING THE RIGHT PEOPLE WITH THE RIGHT EXPERTISE
18 LOOKING OVER THEIR SHOULDER. THAT'S KIND OF THE
19 POINT I WAS MAKING. I DON'T KNOW IF THERE ARE ANY
20 OTHER QUESTIONS ABOUT THE MANUFACTURING PROCESS. IF
21 THERE ARE, I'LL STOP FOR A MOMENT, BUT I'VE GOT AN
22 ADDITIONAL QUESTION THAT REALLY GOES TO MECHANISM OF
23 ACTION.

24 MS. WINOKUR: THIS IS DIANE. I HAVE SOME
25 COMMENTS ON THE DISCUSSION. I HAVE THE SAME

BARRISTERS' REPORTING SERVICE

1 CONCERNS, AND I ENDED UP WITH AN OVERALL FEELING
2 ABOUT THIS PROPOSAL THAT WE ARE, IN ESSENCE, WRITING
3 THIS FOR THEM.

4 DR. MILLS: DID YOU SAY WRITING?

5 MS. WINOKUR: YEAH. WE ARE TELLING THEM
6 WHAT TO PUT IN THE PROPOSAL, THE BIOREACTOR
7 COMPARABILITY ISSUE, THE ONLY ONE PERSON ISSUE,
8 SEVERAL OTHERS THAT WE ARE GOING TO BE LOOKING AT,
9 EVALUATING AS THEY GO ALONG.

10 DR. MILLS: SO, AGAIN, UNDER SORT OF THE
11 NEW CIRM 2.0 CONCEPT, AND PARTICULARLY THE WAY THE
12 NEW SCORING SYSTEM WORKS, WHAT WE'VE DONE IS WE TAKE
13 AS AN AGENCY A FAR MORE ACTIVE APPROACH. AND SO WE
14 DON'T -- JUST TO BE CLEAR BECAUSE JAMES WILL WANT ME
15 TO BE CLEAR ON THIS, UNDER NO CIRCUMSTANCES DO WE
16 WRITE THEIR APPLICATION FOR THEM. BUT WHEN WE DO
17 THE REVIEW PROCESS, AND THIS WAS A GREAT EXAMPLE,
18 THIS APPLICATION ON ITS FIRST REVIEW RECEIVED A
19 SCORE OF A 2, AND THERE WERE TWELVE 2 VOTES ON THIS
20 APPLICATION. AND WE ASKED THE EXPERTS OF THE GWG TO
21 TELL US WHY THEY GAVE IT A 2 AND WHAT THEY WANTED TO
22 SEE THAT WAS DIFFERENT AND HOW CAN WE MAKE THE
23 APPLICATION BETTER.

24 AND SO THAT'S WHAT HAPPENED HERE. WE SENT
25 BACK PRETTY COMPREHENSIVE COMMENTS. I'LL SAY A LOT

BARRISTERS' REPORTING SERVICE

1 OF TIMES WHEN WE GIVE SOMEBODY A 2, WE WILL OFTEN
2 GET A TURNAROUND OF THAT DOCUMENT IN A VERY SHORT
3 PERIOD OF TIME, SOMETIMES WITHIN SEVEN DAYS OR TEN
4 DAYS OR SOMETHING LIKE THAT, BUT IN THIS CASE THEY
5 ACTUALLY TOOK THESE COMMENTS TO HEART. AND IT TOOK
6 THEM A CONSIDERABLE AMOUNT OF TIME, SEVERAL MONTHS,
7 SIX MONTHS, TO ACTUALLY GO BACK AND THINK THROUGH
8 ALL THE COMMENTS AND THINK ABOUT HOW THEY WANTED TO
9 MODIFY THEIR PLAN.

10 AND THE RESULT THAT CAME BACK ACTUALLY I
11 THINK IS EXACTLY WHAT THE 1, 2, 3 SCORING SYSTEM WAS
12 INTENDED TO DO. IT WAS INTENDED TO TAKE THE BEST
13 ADVICE FROM THESE EXPERT REVIEWERS AND USE IT TO
14 MAKE A MARGINAL APPLICATION MUCH STRONGER. AND THEY
15 DID THAT HERE. AND THE GWG WAS ALSO CLEAR THAT,
16 WHILE THIS APPLICATION WAS NOW CLEARLY IN THE
17 FUNDABLE RANGE, THERE WERE OTHER THINGS THAT COULD
18 BE DONE TO MAKE IT EVEN STRONGER THAN THAT. AND
19 THAT GOES TO STEVE'S COMMENT WITH REGARDS TO THE
20 BIOREACTOR AND THE GWG SORT OF RECOMMENDATIONS THAT
21 WEREN'T TRANSFORMING THE APPLICATION ANYMORE, BUT
22 INSTEAD OF SORT OF MORE AT THE HIGHER END OF
23 POLISHING IT TO GIVE IT A GREATER CHANCE TO SUCCEED.

24 AND SO THAT'S HOW THIS PROCESS WORKS. I
25 ACTUALLY CONSIDER THE FEEDBACK CONSIDERATION AND

BARRISTERS' REPORTING SERVICE

1 REAPPLICATION THAT TOOK PLACE HERE A REALLY GREAT
2 EXAMPLE OF HOW THE NEW SCORING SYSTEM SHOULD WORK.

3 MS. WINOKUR: DID IT AFFECT THE BUDGET?

4 DR. MILLS: IT AFFECTED THE BUDGET TO THE
5 TUNE OF ABOUT \$400,000 ON THE UPSIDE.

6 MR. SHEEHY: ARE THERE ADDITIONAL COMMENTS
7 ON THIS PARTICULAR ISSUE ABOUT MANUFACTURING? I
8 THINK STEVE HAS SOME OTHER QUESTIONS/COMMENTS THAT
9 HE WANTED TO BRING IN. BUT ON THIS ONE ISSUE, THE
10 MANUFACTURING, IS THERE ANYTHING ELSE? OKAY.
11 STEVE.

12 DR. JUELSGAARD: SO THIS PRODUCT, WHICH IS
13 A COMBINATION PRODUCT, TWO DIFFERENT CELL TYPES, IS
14 DESIGNED TO TREAT HEMATOLOGICAL MALIGNANCIES, IN
15 PARTICULAR LEUKEMIA AND LYMPHOMAS, GENERALLY
16 SPEAKING. AND SO ONE OF THE WAYS THAT'S DONE
17 CURRENTLY IS WITH BONE MARROW TRANSPLANTATION,
18 RIGHT? SO, DR. SAMBRANO, CAN YOU JUST -- AND THIS
19 IS MORE A MATTER OF CURIOSITY THAN CRITICISM, BUT
20 CAN YOU DESCRIBE THE MECHANISM OF ACTION? HOW ARE
21 THEY HOPING TO ACHIEVE THE TREATMENT OF
22 HEMATOLOGICAL MALIGNANCIES USING CD34+ CORD-DERIVED
23 HEMATOPOIETIC CELLS COMBINED WITH GENETICALLY
24 MODIFIED ENDOTHELIAL CELLS?

25 SO I'M NOT QUITE SURE I UNDERSTAND THE

BARRISTERS' REPORTING SERVICE

1 SCIENTIFIC BASIS FOR THIS, AND I'M JUST CURIOUS
2 ABOUT THAT.

3 DR. SAMBRANO: I CAN SPEAK TO IT ONLY TO
4 SOME EXTENT. WHAT THEY ARGUE IS THAT THE
5 ENDOTHELIAL CELL COMPONENT PROVIDES MORE OF THE
6 NATIVE ENVIRONMENT FOR THE HEMATOPOIETIC AND
7 PROGENITOR CELLS IN ORDER TO EXPAND, SO IT ENHANCES
8 THEIR ABILITY TO EXPAND AND ALSO TO ENGRAFT. AND SO
9 BY HAVING THE CELL COMBINATION, IT IMPROVES ON THE
10 ABILITY OF THE HSC'S TO ENGRAFT AND ENGRAFT MORE
11 RAPIDLY.

12 MR. SHEEHY: STEVE, COULD I ACTUALLY ADD
13 TO THAT BECAUSE I ACTUALLY LOOKED AT THIS REALLY
14 CLOSELY?

15 DR. JUELSGAARD: SURE.

16 MR. SHEEHY: SO THIS IS ONE OF THE HOLY
17 GRAILS IN THE HEMATOPOIETIC STEM CELL RESEARCH,
18 WHICH IS THE ABILITY TO EXPAND THE POPULATION EX
19 VIVO. AND SO WHAT THEY'RE BASICALLY TRYING TO DO IS
20 RECAPITULATE THE HEMATOPOIETIC STEM CELL NICHE SO
21 THAT YOU CAN GET, LIKE IF YOU DO AN AUTOLOGOUS
22 TRANSPLANT, YOU CAN GET THE CELLS OUT OF SOMEONE AND
23 GREATLY EXPAND THEM OR THESE CORD BLOOD UNITS CAN BE
24 GREATLY EXPANDED. SO WHERE YOU'RE USING TWO CORD
25 BLOODS, YOU CAN ACTUALLY GET BY WITH USING ONE.

BARRISTERS' REPORTING SERVICE

1 SO PEOPLE ARE EXPERIMENTING WITH CHEMICAL
2 AGENTS TO TRY TO DO THIS, BUT THIS REPRESENTS
3 POTENTIALLY A TRANSFORMATIVE LEAP FORWARD. I WOULD
4 AGREE, THOUGH IT'S HIGH RISK, BUT IT'S EXTREMELY
5 HIGH REWARD.

6 DR. JUELSGAARD: THANK YOU, JEFF. THANK
7 YOU, DR. SAMBRANO. THAT'S HELPFUL. I APOLOGIZE FOR
8 MONOPOLIZING THIS, BUT I'M DONE WITH MY QUESTIONS.
9 THOSE ARE MY ISSUES.

10 MR. SHEEHY: ARE THERE OTHER QUESTIONS?
11 DO WE HAVE ANY MEMBERS OF THE PUBLIC PRESENT? SO
12 THE MOTION ON THE FLOOR IS TO ACCEPT THE
13 RECOMMENDATIONS OF THE GRANTS WORKING GROUP AND TO
14 FUND THIS PARTICULAR PROJECT. MARIA, COULD YOU CALL
15 THE ROLL.

16 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

17 DR. DULIEGE: YES.

18 MS. BONNEVILLE: DAVID HIGGINS.

19 DR. HIGGINS: YES.

20 MS. BONNEVILLE: STEVE JUELSGAARD.

21 DR. JUELSGAARD: YES.

22 MS. BONNEVILLE: KATHY LAPORTE.

23 MS. LAPORTE: YES.

24 MS. BONNEVILLE: LAUREN MILLER.

25 MS. MILLER: YES.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: ADRIANA PADILLA.

2 DR. PADILLA: YES.

3 MS. BONNEVILLE: JOE PANETTA.

4 MR. PANETTA: YES.

5 MS. BONNEVILLE: FRANCISCO PRIETO.

6 DR. PRIETO: AYE.

7 MS. BONNEVILLE: AL ROWLETT.

8 MR. ROWLETT: YES.

9 MS. BONNEVILLE: JEFF SHEEHY.

10 MR. SHEEHY: YES.

11 MS. BONNEVILLE: OS STEWARD.

12 DR. STEWARD: YES.

13 MS. BONNEVILLE: JONATHAN THOMAS.

14 CHAIRMAN THOMAS: YES.

15 MS. BONNEVILLE: DIANE WINOKUR.

16 MS. WINOKUR: YES.

17 MR. HARRISON: MOTION CARRIES.

18 MR. SHEEHY: SO THAT CONCLUDES THE

19 BUSINESS OF THE APPLICATION REVIEW SUBCOMMITTEE.

20 BACK TO YOU, CHAIRMAN THOMAS.

21 CHAIRMAN THOMAS: THANK YOU, MR. SHEEHY.

22 WE WANT TO -- I KNOW YOU ASKED IF THERE WERE ANY

23 MEMBERS OF THE PUBLIC PRESENT, BUT JUST FOR THE SAKE

24 OF COVERING ALL BASES HERE, IS THERE ANY PUBLIC

25 COMMENT IN GENERAL AT THIS TIME? HEARING NONE, I

BARRISTERS' REPORTING SERVICE

1 WOULD LIKE TO REMIND EVERYBODY THAT OUR NEXT MEETING
2 IS IN PERSON. IT WILL BE MARCH 16TH UP HERE
3 WHERE --

4 MS. CHEUNG: WESTIN SFO. YOU'LL SEE AN
5 E-MAIL FROM ME SHORTLY.

6 CHAIRMAN THOMAS: WESTIN SFO. THANK YOU
7 VERY MUCH, AMY. SO I BELIEVE THAT CONCLUDES TODAY'S
8 MEETING. THANK EVERYBODY FOR ATTENDING, AND THE
9 MEETING STANDS ADJOURNED.

10 (THE MEETING WAS THEN CONCLUDED AT
11 11:28 A.M.)

12
13
14
15
16
17
18
19
20
21
22
23
24
25

BARRISTERS' REPORTING SERVICE

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE AND THE APPLICATION REVIEW SUBCOMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS TELEPHONIC MEETING ON FEBRUARY 18, 2016, WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.



BETH C. DRAIN, CSR 7152
BARRISTERS' REPORTING SERVICE
160 S. OLD SPRINGS ROAD
SUITE 270
ANAHEIM, CALIFORNIA
(714) 444-410