

BETH C. DRAIN, CA CSR NO. 7152

BEFORE THE
TASK FORCE ON NEUROSCIENCE AND MEDICINE OF THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: VIA ZOOM

DATE: MARCH 22, 2024
10 A.M.

REPORTER: BETH C. DRAIN, CA CSR
CSR. NO. 7152

FILE NO.: 2024-15

**133 HENNA COURT, SANDPOINT, IDAHO 83864
208-920-3543 DRAIBE@HOTMAIL.COM**

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

I N D E X

ITEM DESCRIPTION	PAGE NO.
OPEN SESSION	
1. CALL TO ORDER	3
2. ROLL CALL	3
3. EXTERNAL EXPERT ON ALS: JEFFREY D. ROTHSTEIN, MD, PHD	61
4. EXTERNAL EXPERT ON PARKINSON'S: LORENZ STUDER, MD	27
5. CIRM NEURODEGENERATION PORTFOLIO PRESENTATION	4
6. PUBLIC COMMENT	98
7. ADJOURNMENT	100

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MARCH 22, 2024; 10 A.M.

(THE MEETING WAS DULY CALLED TO ORDER BY
CHAIRMAN GOLDSTEIN, AND THE ROLL CALLED AS FOLLOWS:)

MS. MANDAC: LEONDRA CLARK-HARVEY.

DR. CLARK-HARVEY: HERE.

MS. MANDAC: MARIA BONNEVILLE.
MARK-FISCHER-COLBRIE. FRED FISHER.

DR. FISHER: HERE.

MS. MANDAC: JUDY GASSON.

DR. GASSON: HERE.

MS. MANDAC: LARRY GOLDSTEIN.

CHAIRMAN GOLDSTEIN: HERE.

MS. MANDAC: DAVID HIGGINS.

DR. HIGGINS: HERE.

MS. MANDAC: VITO IMBASCIANI.

CHAIRMAN IMBASCIANI: HERE.

MS. MANDAC: STEVE JUELSGAARD. PAT
LEVITT. LAUREN MILLER-ROGEN. MARV SOUTHARD.

DR. SOUTHARD: HERE.

MS. MANDAC: THANK YOU SO MUCH, MARV.
LARRY, BACK TO YOU.

CHAIRMAN GOLDSTEIN: OKAY. GREAT. THANK
YOU. SO LET ME JUST GIVE A COUPLE OF BRIEF REMARKS
BEFORE WE GET GOING, AND THEN I'LL TURN IT OVER TO

BETH C. DRAIN, CA CSR NO. 7152

1 ROSA. WE'RE JUST COMING BACK FROM A BRIEF HIATUS.
2 OUR NEXT TOPIC IS NEURODEGENERATION. AND SO WE'LL
3 PROCEED BY GETTING A HANDLE ON WHAT WE CURRENTLY
4 HAVE IN OUR PORTFOLIO, WHICH IS WHAT ROSA WILL COVER
5 THIS MORNING. AND THEN THIS MONTH WE'LL HEAR FROM
6 ALS EXPERT JEFF ROTHSTEIN AND PARKINSON EXPERT
7 LORENZ STUDER, BOTH PATHFINDERS AND RESEARCHERS AT
8 THE CUTTING-EDGE OF THEIR FIELDS SCIENTIFICALLY AND
9 MEDICALLY. AND THEN NEXT MONTH WE'LL HEAR, I HOPE,
10 FROM ALISON GOATE ON ALZHEIMER'S DISEASE AND SARAH
11 TABRIZI ON POLYGLUTAMINE DISEASES, IN PARTICULAR
12 HUNTINGTON, AND WE'LL HAVE SOME TIME TO BEGIN
13 DISCUSSING WHAT OUR NEXT STEPS MIGHT BE IN THIS
14 AREA.

15 SO WITHOUT FURTHER ADO, LET ME GIVE YOU
16 ROSA. ROSA, YOU'VE GOT ABOUT 25 MINUTES TO WORK ON
17 THE NEURODEGENERATIVE REVIEW PLEASE.

18 DR. CANET-AVILES: OKAY. THANK YOU,
19 LARRY. KELLY, CAN YOU SHARE THE SLIDES PLEASE. WE
20 HAVE A TANDEM SITUATION SO THAT I COULD ACCESS MY
21 SCREEN. THANK YOU, KELLY. FANTASTIC.

22 SO THANK YOU, DR. GOLDSTEIN, MEMBERS OF
23 THE NEURO TASK FORCE, DR. STUDER AS WELL, IT IS AN
24 HONOR TO HAVE YOU WITH US, AND THE PUBLIC. I WILL
25 BE PROVIDING A COMPREHENSIVE OVERVIEW OF OUR

BETH C. DRAIN, CA CSR NO. 7152

1 NEURODEGENERATION PORTFOLIO ANALYSIS THAT HAS BEEN
2 DEVELOPED THROUGH CONCERTED EFFORTS FROM DR.
3 CREASEY, MYSELF, AND OUR DEDICATED PROGRAM TEAMS.

4 BEFORE WE DIVE INTO THE SPECIFICS, I
5 ACTUALLY WOULD LIKE TO TAKE A MOMENT TO ACKNOWLEDGE
6 THE WORK OF OUR COLLEAGUES, DR. JANIE BYRUM,
7 DR. KELLY SHEPARD, DR. SARA TAYLOR, DR. LISA
8 MCGINLEY, FOR THEIR METICULOUS WORK IN PUTTING ALL
9 OF THIS TOGETHER OVER THE PAST MONTH AND FOR THEIR
10 COMPILATION OF THE ANALYSIS. I'D ALSO LIKE TO GIVE
11 A SPECIAL THANKS TO DR. SHYAM PATEL FOR PROVIDING
12 THE PARTNERING DATA IN THE LAST SLIDE WHICH HELPS US
13 EMPHASIZE THE IMPACT OF SOME OF OUR WORK.

14 SO WITHOUT FURTHER ADO, LET'S GET THIS
15 STARTED. NEXT SLIDE.

16 THIS IS ONE OF THREE SLIDES, AND I'M GOING
17 TO FOCUS ON THIS ONE. THE TWO OTHERS ARE BASICALLY,
18 THIS ONE REPRESENTS PROPOSITION 14 AND PROPOSITION
19 71 NUMBERS, AND THE NEXT ONE IS JUST PROP 14 AND THE
20 OTHER IS PROP 71. SO I'LL BE GOING QUICK THROUGH
21 THOSE ESPECIALLY AS WE HAVE ONLY 25 MINUTES.

22 SO THIS SLIDE REPRESENTS THE SCOPE OF
23 CIRM'S INVESTMENT IN NEURODEGENERATION, COMBINING
24 THE EFFORTS OF BOTH PROPOSITIONS WITH THE YEARS FROM
25 2007 TO 2023. WE HAVE DIVIDED THIS THESE IN THREE

BETH C. DRAIN, CA CSR NO. 7152

1 MAIN COLUMNS, THE DISEASES LEFT, THE NUMBER OF
2 AWARDS GIVEN ON THE LEFT, AND THE FUNDS ALLOCATED AS
3 DENOTED IN MILLIONS OF DOLLARS ON THE RIGHT.

4 THE COLOR CODING, WHICH IS GOING TO BE
5 CONSISTENT ACROSS THE PRESENTATION FOR BETTER
6 VISUALIZATION, SHOWS DISCOVERY AWARDS IN
7 YELLOW-GREEN, TRANSLATION IN ORANGE, AND CLINICAL
8 AWARDS ARE IN BLUE.

9 SO TO THE LEFT WITH THE NUMBER OF AWARDS,
10 WHAT THAT HIGHLIGHTS IS THAT PARKINSON'S DISEASE HAS
11 RECEIVED A TOTAL OF 28 AWARDS WITH DISCOVERY BEING
12 THE FOCUS. ALZHEIMER'S FOLLOWS WITH 17 AWARDS, ALSO
13 HEAVILY FOCUSED ON DISCOVERY AND SOME TRANSLATION,
14 NO CLINICAL. ALS HAS A NOTABLE NUMBER OF 29 AWARDS,
15 AGAIN WITH A FOCUS ON DISCOVERY, BUT ALSO WITH A
16 SIGNIFICANT PORTION IN CLINICAL TRIALS. AND THEN WE
17 HAVE HUNTINGTON'S AND SPINAL MUSCULAR ATROPHY WHICH
18 HAVE RECEIVED A COMBINED NUMBER OF 23 AND 4 AWARDS
19 RESPECTIVELY.

20 LOOKING AT THE NUMBER OF FUNDS, ALS HAS
21 THE HIGHEST INVESTMENT WITH OVER \$106 MILLION,
22 EMPHASIZING THE FOCUS ON BOTH EQUALLY, MORE OR LESS,
23 DISCOVERY AND CLINICAL TRIALS. PARKINSON'S HAS ALSO
24 RECEIVED A CONSIDERABLE AMOUNT OF FUNDING WITH A
25 TOTAL OF \$63.9 MILLION WHERE 44.6 IS DIRECTED TOWARD

BETH C. DRAIN, CA CSR NO. 7152

1 DISCOVERY RESEARCH AND 15.3 TO CLINICAL.

2 ALZHEIMER'S DISEASE HAS \$50.2 MILLION IN
3 FUNDING WITH A BALANCE DISTRIBUTION BETWEEN
4 DISCOVERY AND TRANSLATIONAL RESEARCH. AND
5 HUNTINGTON'S DISEASE HAS BEEN ALLOCATED 49.1
6 MILLION, AGAIN, WITH A STRONG CLINICAL TRIAL
7 INVESTMENT.

8 THE LOWER SECTION OF THE SLIDE IDENTIFIES
9 A FOCUS ON RARE DISEASES, SUCH AS TAY-SACHS, PML,
10 AND LYSOSOMAL STORAGE DISEASES. MOST OF THEM HAVE
11 ONE TO TWO AWARDS EACH AND CORRESPONDING FUNDING.
12 AND THIS INDICATES THAT, WHILE THESE CONDITIONS ARE
13 LESS COMMON, THEY ARE ALSO A FOCUS OF CIRM'S
14 EFFORTS, AS WE ALL KNOW.

15 SOME OF THE INVESTMENT ON
16 NEURODEGENERATIVE DISEASE, AS YOU CAN SEE, IS IN
17 DISCOVERY RATHER THAN CLINICAL; FOR EXAMPLE,
18 ALZHEIMER'S DISEASE VERSUS ALS. AND THIS IS DUE TO
19 SOME OF THE FACTORS. BUT ALZHEIMER'S DISEASE
20 COMPARED TO ALS, ALS HAS SEEN MORE SIGNIFICANT
21 ADVANCES IN CELL AND GENE THERAPY APPROACHES GIVEN
22 ITS CLEARER GENETIC COMPONENTS IN SOME OF THE CASES
23 WHICH HAS ALLOWED MORE TARGETED THERAPIES. AND WE
24 WILL HEAR ABOUT THESE TODAY FROM DR. ROTHSTEIN LATER
25 ON, I'M SURE.

BETH C. DRAIN, CA CSR NO. 7152

1 BUT, IN ESSENCE, THIS SLIDE PROVIDES A
2 COMPREHENSIVE OVERVIEW OF OUR STRATEGIC FUNDING
3 DISTRIBUTION ACROSS A RANGE OF NEURODEGENERATIVE
4 DISEASES ACROSS A 16-YEAR PERIOD WITH SIGNIFICANT
5 INVESTMENTS IN BOTH EARLY STAGE AND CLINICAL
6 RESEARCH, WHICH HIGHLIGHTS OUR COMMITMENT TO
7 NEURODEGENERATIVE DISEASES. NEXT SLIDE, KELLY.
8 THANK YOU.

9 SO THESE SLIDE REPRESENTS -- NO. 2 AND 3,
10 AS I SAID EARLIER ON, IS THE CIRM NEURODEGENERATION
11 PORTFOLIO OF AWARDS DATA UNDER PROP 71 IN THIS CASE.
12 AND THE MAIN OBSERVATION IS THAT THE UNDERLYING
13 TRENDS IN FUNDING AND AWARD DISTRIBUTION REMAIN
14 CONSISTENT ACROSS BOTH TIMEFRAMES AND WITH THE
15 PREVIOUS THAT WAS THE OVERALL FEATURE BETWEEN BOTH
16 PROPOSITIONS.

17 SO LET'S GO PAST, AGAIN, THE NEXT SLIDE.
18 THIS IS PROP 14, AND AGAIN SHOWING THAT THE
19 UNDERLYING TRENDS IN FUNDING AND AWARD DISTRIBUTION
20 REMAIN CONSISTENT AS WELL RIGHT NOW. GIVEN THAT WE
21 ARE ONLY A COUPLE OF YEARS ON THIS PROPOSITION, WE
22 HAVE LESS NUMBER OF AWARDS AND LESS AMOUNT OF
23 FUNDING INVESTED. NEXT SLIDE.

24 ON THIS SLIDE WE ARE LOOKING AT THE CIRM
25 NEURODEGENERATION PORTFOLIO SPENDING BY DISEASE,

BETH C. DRAIN, CA CSR NO. 7152

1 COMPARING PROPOSITIONS, CONTRASTING THE ALLOCATIONS
2 UNDER PROP 71 ON THE LEFT AND PROP 14 ON THE RIGHT.
3 THIS SLIDE HIGHLIGHTS THE DISTRIBUTION OF FUNDS
4 ACROSS THE SPECTRUM OF NEURODEGENERATIVE DISEASES
5 WITH A FOCUS ON HOW FINANCIAL RESOURCES HAVE BEEN
6 DIRECTED TOWARDS EITHER DISCOVERY, TRANSLATION, AND
7 CLINICAL RESEARCH. AND, AGAIN, IT'S YELLOW-GREEN,
8 DISCOVERY; ORANGE, TRANSLATION; AND BLUE IS
9 CLINICAL.

10 SO ON THE LAST UNDER THE PROPOSITION 71,
11 YOU CAN SEE THAT PARKINSON'S DISEASE RECEIVED THE
12 HIGHEST FUNDING IN DISCOVERY WITH A SIGNIFICANT
13 PORTION CHANNELED INTO CLINICAL RESEARCH AS WELL,
14 DEMONSTRATING CIRM'S COMMITMENT TO TRANSLATING ALSO
15 THE DISCOVERY OF EVENTUAL TREATMENTS.

16 ALZHEIMER'S DISEASE ALSO SAW A SUBSTANTIAL
17 INVESTMENT, ESPECIALLY IN DISCOVERY AND
18 TRANSLATIONAL RESEARCH, WHICH REFLECTS THE STRATEGIC
19 EMPHASIS ON UNRAVELING THE COMPLEXITIES OF THE
20 DISEASE.

21 AND ALS HAS A NEARLY EQUAL DISTRIBUTION OF
22 FUNDS ACROSS DISCOVERY AND CLINICAL STAGES, WHICH
23 RECEIVED -- AND ALS RECEIVED THE HIGHEST AMOUNT OF
24 FUNDING. THE EQUAL DISTRIBUTION UNDERSCORES A
25 BALANCED APPROACH TOWARDS DEVELOPING AS WELL AS

BETH C. DRAIN, CA CSR NO. 7152

1 UNDERSTANDING THE DISEASE MECHANISMS AND PUSHING THE
2 PROMISING TREATMENT INTO THE CLINICAL. AND A
3 SIGNIFICANT CLINICAL INVESTMENT IS LIKELY A RESPONSE
4 OF THE URGENT NEED TO FIND THERAPEUTIC OPTIONS FOR
5 THIS RAPIDLY PROGRESSING CONDITION, I WOULD SAY.

6 SO MOVING ON TO PROPOSITION 14, THE
7 FUNDING PATTERN HERE SHOWS THE CONTINUED FOCUSED
8 BOOST IN CLINICAL RESEARCH FOR ALS. AND TO NOTE, WE
9 ARE COMPARING 17 YEARS VERSUS 2 YEARS. SO PROP 71
10 VERSUS PROP 14. SO WE CANNOT REALLY MAKE ANY
11 CONCLUSIONS YET. NEXT SLIDE.

12 AND FEEL FREE IF ANYBODY HAS A QUESTION,
13 WANTS TO CLARIFY, PLEASE STOP ME. WE ARE GOING TO
14 MOVE INTO FUNDING. THE NEXT THREE SLIDES ARE GOING
15 TO BE ABOUT PROP 71 AND PROP 14 TOGETHER. SO THIS
16 SLIDE DISPLAYS CIRM'S R&D FUNDING IN
17 NEURODEGENERATIVE PORTFOLIO AS A PERCENTAGE OF THE
18 TOTAL IN EACH PILLAR UNDER BOTH PROPOSITIONS OVER
19 THE LAST 17 YEARS AND SHOWCASES THE ALLOCATION
20 ACROSS DISCOVERY. SO 43 PERCENT OF THE FUNDING OF
21 NEURO FOR DISCOVERY HAS BEEN IN NEURODEGENERATION,
22 26 PERCENT HAS BEEN FOR TRANSLATIONAL, AND 23
23 PERCENT OF THE FULL NEURO FUNDING IN CLINICAL HAS
24 BEEN IN NEURODEGENERATION.

25 DISCOVERY SHOWS THE LARGEST SHARE OF THE

BETH C. DRAIN, CA CSR NO. 7152

1 NEURODEGENERATION-SPECIFIC FUNDING AT \$169.8
2 MILLION, WHICH EMPHASIZES THE IMPORTANCE OF
3 FOUNDATIONAL RESEARCH, AND I COULD GO FURTHER AND
4 SAY THIS MECHANISM -- DISCOVERY OF NEURODISEASE
5 MECHANISMS THAT ARE VERY NEEDED FOR ALL THESE
6 DISEASES, COMPLEX DISEASES.

7 TRANSLATIONAL EFFORTS HAVE RECEIVED 61.8
8 MILLION, WHICH BRIDGES THE LAB FINDINGS INTO THE
9 CLINICAL APPLICATIONS, WHILE CLINICAL RESEARCH IS
10 THE MOST RESOURCE INVESTMENT SPACE, AND IT HAS
11 ALLOCATED 96.2 MILLION, REFLECTING THE SIGNIFICANT
12 COST OF BRIDGING THERAPIES TO PATIENTS.

13 LET'S MOVE TO THE NEXT SLIDE. THE NEXT
14 SLIDE SHOWS -- SHIFTS OUR FOCUS FROM FUNDING TO THE
15 DISTRIBUTION OF AWARDS WITHIN CIRM'S R&D
16 NEURODEGENERATION PORTFOLIO FROM THE DURATION OF THE
17 LAST PROPOSITIONS OF 17 YEARS. IT OUTLINES THE
18 NUMBERS OF AWARDS GIVEN IN THE THREE CATEGORIES
19 AGAIN. AND THIS IS WHAT WE HAVE SPENT IN TOTAL
20 NEURO.

21 YOU CAN SEE THAT IN THE DISCOVERY
22 CATEGORY, THERE HAVE BEEN 90 AWARDS SPECIFICALLY
23 TARGETING NEURODEGENERATION, WHICH COMBINED WITH
24 OTHER NEUROLOGICAL AWARDS TOTALS 218 AWARDS. THIS
25 HIGHLIGHTS CIRM'S STRONG EMPHASIS ON FOUNDATIONAL

BETH C. DRAIN, CA CSR NO. 7152

1 RESEARCH IN NEURODEGENERATION. TRANSLATIONAL
2 RESEARCH HAS SEEN 11 AWARDS DEDICATED TO
3 NEURODEGENERATION OUT OF 37 TOTAL NEURO AWARDS AND
4 REFLECTS THE FOCUSED EFFORTS TO BRIDGE THE GAP
5 BETWEEN LEVEL OF FINDINGS AND POTENTIAL CLINICAL
6 APPLICATIONS, BUT IT ALSO SHOWS THAT MANY OF THE
7 DISCOVERY MIGHT NOT BE SUCCESSFUL, NOT BECAUSE WE
8 ARE NOT TRANSLATING THEM, OR THEY MIGHT BEING THE
9 RISK SOMEWHERE ELSE IN FOR-PROFIT INDUSTRY.

10 IN THE CLINICAL SPACE THERE ARE TEN
11 NEURODEGENERATION-SPECIFIC AWARDS FROM A TOTAL OF
12 41, AND THIS SHOWS THE STRATEGIC PUSH TOWARDS
13 BRINGING THE THERAPIES FROM THE LAB INTO THE
14 CLINICAL TESTING PHASE. AND THIS AWARD
15 DISTRIBUTION, I THINK, COMPLEMENTS THE FUNDING
16 PATTERN THAT WE DISCUSSED EARLIER, DEMONSTRATING
17 CIRM'S BALANCED INVESTMENT IN EACH RESEARCH STAGE TO
18 ADVANCE THE UNDERSTANDING OF THESE DEVASTATING
19 DISEASES.

20 LET'S MOVE TO THE NEXT SLIDE. THE NEXT
21 SLIDE IS AN ALTERNATIVE WAY TO VISUALIZE THE NO. 5.
22 AND INSTEAD OF PERCENTAGES, IT'S BY AMOUNT SPENT
23 RELATIVE TO EACH OTHER. SO IT'S ALL THE SAME AS THE
24 FIRST SLIDE ON THIS TREND. NEXT SLIDE.

25 THIS SLIDE PROVIDES AN ANALYSIS OF THE

BETH C. DRAIN, CA CSR NO. 7152

1 PERCENTAGES OF NEURODEGENERATION SPENDING COMPARED
2 TO THE OTHER NEUROLOGICAL AREAS IN THE MOST RECENT
3 FUNDING PHASE UNDER PROP 14. SO THE LAST THREE
4 SLIDES WERE BOTH PROPOSITIONS. THIS IS JUST SO WE
5 CAN SEE WHAT PROP 14 -- WHAT'S HAPPENING WITH PROP
6 14. AND HERE WE OBSERVE THAT THE STRATEGIC
7 ALLOCATION OF FUNDS PARALLELS THE TRENDS OF BOTH
8 PROPS TOGETHER. NEXT SLIDE.

9 THIS IS THE NUMBER OF AWARDS, AND THE
10 DISTRIBUTION ALSO PARALLELS THE TRENDS THAT WE HAD
11 UNDER PROP 71 AND PROP 14 TOGETHER. NEXT SLIDE.

12 AND THIS IS BY SPENDING, ANOTHER
13 ALTERNATIVE WAY TO VISUALIZE SLIDE 8 INSTEAD OF
14 PERCENTAGES BY AMOUNT OF SPEND RELATIVE TO EACH
15 OTHER.

16 NOW, ANY QUESTIONS SO FAR? OKAY.

17 CHAIRMAN GOLDSTEIN: ACTUALLY ONE
18 QUESTION, ROSA. WHAT FRACTION OR APPROXIMATE
19 FRACTION OF THE CLIN AWARDS ARE PARTNERED WITH
20 INDUSTRY?

21 DR. CANET-AVILES: WE WILL SEE THAT AT THE
22 END. YOU ARE GOING TO SEE THAT AT THE END. SO WE
23 ARE GOING TO SEE A COUPLE THINGS. THANK YOU, LARRY,
24 FOR THE QUESTION. SO ONE OF THEM, WE ARE GOING TO
25 SEE THE PROGRESSION. SO THOSE AWARDS THAT HAVE

BETH C. DRAIN, CA CSR NO. 7152

1 STARTED WITH FUNDING FROM CIRM AT THE STAGE OF
2 DISCOVERY OR TRANSLATIONAL AND THEY'VE MOVED TO THE
3 NEXT STAGE ALSO FUNDED BY CIRM. AND ANOTHER SET OF
4 DATA IS GOING TO BE ABOUT PARTNERING ACTIVITIES.
5 AND THAT'S WHAT DR. PATEL PROVIDED AND WE WILL BE
6 DISCUSSING.

7 SO LET'S MOVE ON TO THE NEXT SLIDE PLEASE.
8 IT'S A BUSY SLIDE.

9 DR. YAMAMOTO: ROSA.

10 DR. CANET-AVILES: YEAH.

11 DR. YAMAMOTO: THIS IS KEITH. I'M SORRY
12 TO BE LATE, SO YOU MAY HAVE ALREADY TALKED ABOUT
13 THIS. BUT WHAT FRACTION -- I ASSUME THAT THE
14 CLINICAL AWARDS INCLUDE TRIALS.

15 DR. CANET-AVILES: CORRECT.

16 DR. YAMAMOTO: AND SO WHAT FRACTION OF THE
17 EXPENDITURE ON THE CLIN SIDE IS SPECIFICALLY FOR
18 SUPPORTING CLINICAL TRIALS?

19 DR. CANET-AVILES: SO WE COULD BE -- LET
20 ME SEE. UNDER CLINICAL. ABLA, CAN YOU MOVE
21 TO -- KELLY, CAN YOU MOVE TO SLIDE NO. 5. AND,
22 ABLA, I WILL DEFER TO YOU BECAUSE I COULD SAY THAT
23 IT'S 23 PERCENT. NO. 5, SLIDE NO. 5, KELLY. THIS
24 ONE.

25 ABLA, WOULD YOU LIKE TO ANSWER THE

BETH C. DRAIN, CA CSR NO. 7152

1 QUESTION? I COULD SAY IT'S 23 PERCENT, BUT I DON'T
2 KNOW EXACTLY. ABLA, YOU MIGHT BE MUTED, ABLA.

3 DR. CREASEY: I'M NO LONGER MUTED. YES,
4 AS REPRESENTED ON THAT SLIDE, IT'S 23 PERCENT IN
5 CLINICAL TRIALS.

6 MR. JUELSGAARD: I THINK SAYING THEY'RE IN
7 CLINICAL TRIALS IS JUST A LITTLE MISLEADING BECAUSE
8 THE CLIN AWARDS INCLUDE THE STUDIES LEADING UP TO AN
9 IND FILING. SO YOU HAVE TO HAVE AN IND IN ORDER TO
10 ACTUALLY BEGIN CLINICAL TRIALS. IF YOU GO TO THOSE
11 SLIDES BEFORE, YOU WILL SEE THAT THERE ARE TWO \$4
12 MILLION AMOUNTS. THOSE WERE ALL STUDIES LEADING TO
13 AN IND. THERE'S ONLY ONE -- YEAH, SO THAT SLIDE,
14 FOR EXAMPLE, THE BLUE ONES. THE TWO BLUE FOURS HAVE
15 TO BE TRIALS LEADING UP TO AN IND. SO WHETHER THEY
16 GET THE IND OR NOT IS STILL AN OPEN ISSUE.

17 SO THEN THERE'S ONE FOR 12 MILLION, WHICH
18 SUGGESTS, UNLESS THERE'S MORE THAN ONE INVOLVED
19 THERE, THAT THAT'S PROBABLY A CLIN AWARD, PROBABLY A
20 PHASE 1.

21 DR. CANET-AVILES: YES, YOU ARE CORRECT,
22 STEVE. I THINK WE DID THAT ANALYSIS. I WOULD NEED
23 TO GO INTO OTHER SLIDES, BUT WE COULD FOLLOW UP WITH
24 PROVIDING THIS INFORMATION.

25 MR. JUELSGAARD: OKAY.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. CANET-AVILES: BUT I DON'T THINK
2 WE -- SOMEBODY IS SPEAKING. SORRY.

3 CO-CHAIRMAN FISHER: I THINK THE FOCUS OF
4 THIS TASK FORCE IS ON PROP 14. SO ARE YOU GOING TO
5 BE FOCUSING WHAT WE'VE DONE SO FAR RELATED TO PROP
6 14, OR ARE WE LOOKING AT A COMBINATION AND THEN WE
7 HAVE TO PARSE OUT HOW MUCH IS PROP 14 AND HOW MUCH
8 IS PROP 71, WHICH IS NOT REALLY PART OF THE SCOPE OF
9 WHAT OUR TASK FORCE IS CHARGED WITH. I'M WONDERING
10 HOW WE'RE GOING TO GET TO THAT WHEN ALL OF THESE
11 NUMBERS ARE COMBINED.

12 DR. CANET-AVILES: THANK YOU, FRED, FOR
13 YOUR COMMENT. ACTUALLY THE NUMBERS ARE SEPARATED.
14 WE'VE DONE THE THREE ANALYSES. WE'VE DONE COMBINED
15 AND ONE BY ONE SO THAT WE COULD SEE, A, WHAT HAS
16 HAPPENED SO FAR, WHAT HAPPENED DURING PROP 71, AND
17 WHAT'S HAPPENING DURING PROP 14 SO WE CAN SEE
18 WHETHER THE TRENDS ARE STILL THE SAME. AND FOR NOW
19 WE CAN SEE -- WHAT WE ARE CONCLUDING IS THAT THE
20 TRENDS ARE THE SAME DURING PROP 14.

21 CO-CHAIRMAN FISHER: OKAY. THANK YOU SO
22 MUCH.

23 DR. CANET-AVILES: OF COURSE. OF COURSE.
24 AND I THINK THAT'S A VERY RELEVANT QUESTION. SO
25 YOU'RE ABSOLUTELY ON THE SPOT. THE THING IS THIS IS

BETH C. DRAIN, CA CSR NO. 7152

1 A LOT OF INFORMATION. THAT'S WHY IT WAS POSTED SO
2 THAT PEOPLE COULD DIGEST IT BEFORE THE MEETING
3 BECAUSE GOING THROUGH ALL THESE SLIDES IS KIND OF A
4 LITTLE BIT TOO MUCH INFORMATION, RIGHT.

5 SO I AM GOING TO GO -- WE WERE GOING TO GO
6 TO SLIDE NO. 11 WHERE WE ARE PRESENTING. SO, AGAIN,
7 THE QUESTION THAT WAS ASKED IN TERMS OF THE
8 PROPORTION BY KEITH YAMAMOTO AND STEVE JUELSGAARD,
9 IN TERMS OF CLINICAL, WE CAN SAY THAT WE HAVE FUNDED
10 23 PERCENT OF THE WHOLE NEURO PORTFOLIO WITH THE
11 CORRESPONDENCE OF \$96.2 MILLION HAVE BEEN INVESTED
12 IN CLINICAL. AND OF THOSE, ABOUT HALF HAVE BEEN IN
13 ALS.

14 SO WE CAN -- THAT'S WHAT WE CAN SAY. AND
15 THEN WE WILL HAVE -- IN TERMS OF ALS, FOR EXAMPLE,
16 WE CAN SEE HERE THAT IN TERMS OF NUMBER OF AWARDS,
17 WE HAVE THREE IN THE CLINICAL. THIS SLIDE IS
18 ACTUALLY SHOWING BY APPROACHES. IT'S
19 PROBABLY -- AND IT'S A COMPREHENSIVE OVERVIEW OF THE
20 NEURODEGENERATION PORTFOLIO OF AWARDS BY APPROACH
21 UNDER PROP 71. WE ALSO HAVE PROP 14. AND WE HAVE
22 CATEGORIZED THESE IN FOUR COLUMNS REPRESENTING
23 DISEASE ON THE LEFT AND THEN THE THREE STAGES:
24 DISCOVERY, TRANSLATIONAL, AND CLINICAL.

25 AND IT BREAKS DOWN, THE CHART BREAKS DOWN

BETH C. DRAIN, CA CSR NO. 7152

1 THE NUMBER OF AWARDS GIVEN TO VARIOUS
2 NEURODEGENERATIVE DISEASES. FOR EXAMPLE, WE CAN SEE
3 THAT FOR PARKINSON'S DISEASE, THEY HAD, I THINK, 23
4 AWARDS IN THE DISCOVERY PHASE, ONE IN THE
5 TRANSLATIONAL PHASE, AND THEN WE HAD TWO IN THE
6 CLINICAL PHASE.

7 AND THEN WE HAVE -- THE COLOR-CODED LEGEND
8 AT THE BOTTOM SHOWS THE DIFFERENT RESEARCH
9 MODALITIES, SUCH AS ALLOGENEIC, AUTOLOGOUS,
10 GENE-MODIFIED, SMALL MOLECULES, CELL FREE GENE
11 THERAPY, FOUNDATIONAL RESEARCH, AND AUTOLOGOUS,
12 BIOLOGIC. AND THESE MODALITIES WILL HELP US
13 UNDERSTAND WHERE THE FOCUS OF OUR RESEARCH ALSO HAS
14 BEEN.

15 I WOULD LIKE TO DRAW ATTENTION TO ALS. IT
16 HAS 13 AWARDS IN DISCOVERY PHASE, WHICH ARE
17 HIGHLIGHTED IN -- NOT 13 -- 17 AWARDS IN THE
18 DISCOVERY PHASE, AND WE ALSO HAVE THREE IN THE
19 CLINICAL. BUT GIVEN THAT IT HAS -- SO THOSE ARE
20 PROBABLY CLINICAL TRIALS BECAUSE OF THE NUMBER OF
21 AWARDS AND THE AMOUNT THAT WE'VE SPENT IN ALS
22 CLINICAL.

23 DR. CREASEY: CAN I COMMENT HERE? WHEN
24 YOU TALK ABOUT THE CLINICAL, IT'S ACTUALLY JUST
25 PHASE 1. AND SO IT IS A LIKE UNCONTROLLED CLINICAL

BETH C. DRAIN, CA CSR NO. 7152

1 RESEARCH IN ALS. THERE ARE NO PLACEBOS, WHATEVER.
2 SO WHENEVER YOU CALL A TRIAL, I THINK IT'S FAIR TO
3 SAY THAT YOU NEED A COMPARATOR. AND IN OUR
4 SITUATION WITH ALS, OTHER THAN BRAINSTORM
5 THERAPEUTICS, THE OTHER TWO WERE ONLY RESEARCH, OPEN
6 LABEL TRIALS, OPEN LABEL RESEARCH.

7 DR. CANET-AVILES: GREAT. THANK YOU FOR
8 THE CLARIFICATION, ABLA.

9 SO THE NEXT SLIDE ACTUALLY SHOWS, INSTEAD
10 OF NUMBER OF AWARDS, IT REPRESENTS THE PORTFOLIO BY
11 FUNDING BY APPROACH. AND THIS IS THE STAGE AND,
12 AGAIN, ALSO THE DIFFERENT COLOR-CODED MODALITIES.
13 AND AS YOU CAN SEE, THIS KIND OF PARALLELS THE
14 AMOUNT OF FUNDING THAT YOU WERE TALKING ABOUT IN
15 CLINICAL. WE CAN SEE THAT WE'VE SPENT MOST OF OUR
16 FUNDING IN CLINICAL IN PARKINSON'S, ALS, AND
17 HUNTINGTON'S DISEASE IN PROP 71. NEXT SLIDE.

18 THIS SLIDE IS THE SAME, BUT JUST FOR PROP
19 14. SO FOR PROP 14, WE CAN SEE THAT SO FAR WE HAVE
20 HAD QUITE A BIT OF INVESTMENT IN CLINICAL AS WELL.
21 SO PARKINSON'S DISEASE, WE HAVE ONE AWARD IN
22 CLINICAL, WE HAVE ONE IN ALS, AND WE HAVE ONE IN
23 TAY-SACHS. WE HAVE SOME TRANSLATIONAL APPROACHES
24 FOR PARKINSON'S AGAIN AND FRIEDREICH'S ATAXIA, AND
25 THEN WE HAVE IN DISCOVERY ONE AWARD FOR ALZHEIMER'S,

BETH C. DRAIN, CA CSR NO. 7152

1 MULTIPLE SCLEROSIS, A LOT OF FUNDING OR A LOT OF
2 AWARDS IN ALS. SO IT'S LIKE -- IT'S ONE OF THE
3 CANDIDATE NEURODEGENERATIVE DISEASES THAT WE HAVE
4 INVESTED THE MOST. IT'S ACTUALLY THE ONE THAT WE'VE
5 INVESTED THE MOST, ALSP AND PML. AND YOU CAN SEE
6 THE DIFFERENT APPROACHES WITH THE CODE AT THE
7 BOTTOM.

8 THE NEXT SLIDE SHOWS THE SAME INFORMATION,
9 BUT BY FUNDS SPENT. AND WE CAN AGAIN SEE THAT ALS
10 IS THE ONE THAT HAS RECEIVED THE MOST FUNDING, AND
11 IT'S FOCUSED ON EARLY RESEARCH, FOUNDATIONAL, OR
12 SMALL MOLECULE, BIOLOGIC, AND GENE THERAPY, CELL
13 FREE GENE THERAPY. SO THESE TWO AWARDS ARE TRYING
14 TO DEVELOP NEW CANDIDATES THAT WILL MOVE INTO
15 TRANSLATION AND CLINICAL, NOT SO MUCH FOUNDATIONAL
16 DISCOVERY. AND IN THE CLINICAL WE HAVE 12 MILLION,
17 AND I THINK IT'S ALLOGENEIC, CORRECT, ALLOGENEIC,
18 GENE-MODIFIED THERAPY. AND I THINK WE WILL SEE AN
19 EXAMPLE SOON IN THE SLIDES. ANY QUESTIONS?

20 CHAIRMAN GOLDSTEIN: ROSA, YOU'RE GETTING
21 TIGHT ON TIME.

22 DR. CANET-AVILES: OKAY. SO I WILL
23 JUST GO -- THANK YOU. NEXT SLIDE, KELLY.

24 THIS SLIDE PROVIDES AN OVERVIEW. I'M NOT
25 GOING TO GO THROUGH THIS ONE. I'M GOING TO GO

BETH C. DRAIN, CA CSR NO. 7152

1 THROUGH THE NEXT, BUT WHAT I WANT TO PRESENT IS WHAT
2 THIS SLIDE AND THE NEXT REPRESENT. THESE ARE THE
3 OVERALL NEURO PORTFOLIO PROGRESSION OF EVENTS FOR
4 BOTH PROPOSITIONS.

5 WHAT A PROGRESSION EVENT IS IS A MEASURE
6 OF THE SUCCESS OF OUR PROGRAMS AND FUNDING AND
7 MOVING TO THE NEXT STAGES. NOW, WHAT WE CAPTURE
8 HERE IS WHAT MOVES TO THE NEXT STAGE WITHIN OUR CIRM
9 PORTFOLIO OF FUNDING. SO WHAT WE HAVEN'T CAPTURED
10 HERE, WHICH WE WILL TRY TO CAPTURE NEXT, IS WHAT
11 MIGHT BE THE RISK AND IS FUNDED THROUGH INDUSTRY,
12 RIGHT.

13 SO A PROGRESSION EVENT IS WHEN THE CIRM
14 AWARD COMPLETES ONE STAGE OF RESEARCH AND IS
15 SUCCESSFUL AND GETS CIRM FUNDING TO MOVE ON TO THE
16 NEXT STAGE TO DEVELOP EITHER A SECOND GENERATION OF
17 THE SAME DEVICE OR THERAPY.

18 SO IN HERE I WOULD LIKE TO HIGHLIGHT A
19 CASE STUDY TO DEMONSTRATE HOW OUR FUNDING WHEN IT
20 APPLIES TO PROGRAMS SUCH AS THE ONE PIONEERED BY
21 UCSF UNDER DR. KRIEGSTEIN AND DR. RUBENSTEIN THAT
22 MOVED TO DR. CORY NICHOLAS AND NEURONA THERAPEUTICS.
23 THEY DEVELOP -- THROUGH FIVE BASIC AND DISCOVERY
24 AWARDS, THEY DEVELOPED THE PROTOCOLS FOR DERIVATION,
25 CHARACTERIZATION, SELECTION, AND PRODUCTION OF

BETH C. DRAIN, CA CSR NO. 7152

1 INTRAMURAL PRECURSORS. AND THEY GOT A TRAN AWARD
2 WHERE THEY DEVELOPED THE INHIBITORY NEURONS AS A
3 THERAPEUTIC FOR FOCAL EPILEPSY, AND THEN THEY MOVE
4 ON TO PHASE 1/2 TRIAL TO TEST SAFETY AND EFFICACY OF
5 THE POTENTIAL TREATMENT FOR DRUG RESISTANT
6 UNILATERAL MEDIAL TEMPORAL LOBE EPILEPSY.

7 SO THIS IS A VERY NICE EXAMPLE OF HOW
8 SUCCESSFUL SOME OF OUR NEUROTHERAPIES HAVE BEEN
9 EVOLVING THROUGH OUR PORTFOLIO.

10 NEXT SLIDE IS A FOCUS ON OUR
11 NEURODEGENERATION, AND I WILL SAY WE HAVE MADE A
12 MISTAKE THAT WE RECTIFIED. WE HAD MISSED A COUPLE,
13 AND WE ADDED THEM. SO THAT WAS WHAT THE REVISION OF
14 THE SLIDES WAS.

15 AND WE CAN SEE HERE THAT WE HAVE A FAIR
16 AMOUNT OF PARKINSON'S DISEASE. WE HAVE THREE
17 PROJECTS THAT HAVE MOVED FROM DISCOVERY EITHER TO
18 TRAN OR TO CLIN OR THAT THEY ARE MOVING FROM
19 DISCOVERY FOUNDATIONAL TO A DEVELOPMENT CANDIDATE
20 AND SO ON. SO THIS IS JUST FOR REFERENCE.

21 I JUST WANT TO MOVE TO THE LAST SLIDE
22 BECAUSE I'M SHORT ON TIME. AND THIS LAST SLIDE
23 PROVIDES A COMPREHENSIVE OVERVIEW OF THE
24 NEURODEGENERATION PARTNERING ACTIVITIES FROM 2007 TO
25 2024. AND BY PARTNERING, WHAT WE MEAN HERE IS THAT

BETH C. DRAIN, CA CSR NO. 7152

1 A PROGRAM THAT HAD STARTED WITH CIRM FUNDING HAS
2 EITHER RAISED MONEY THROUGH A VC, SPUN OUT OF
3 ACADEMIA INTO A FOR-PROFIT, AND/OR RAISED VC FUNDING
4 OR LICENSED OR BEING ACQUIRED.

5 THIS IS A MEASURE OF THE IMPACT OF CIRM
6 FUNDING. THESE PARTNERSHIPS, I THINK, ARE VITAL FOR
7 ADVANCING RESEARCH FROM THE LAB TO THE CLINIC,
8 EMPHASIZING THE IMPORTANCE OF COLLABORATION BETWEEN
9 PUBLIC INSTITUTIONS AND PRIVATE ENTITIES IN TACKLING
10 THESE DEVASTATING NEURODEGENERATIVE DISEASES. AND I
11 THINK IT WAS -- I CAN'T REMEMBER WHO ASKED THE
12 QUESTION, BUT YOU CAN SEE THAT, YES, IN THE CLINICAL
13 WE HAVE SOME PROGRAMS THAT STARTED IN THE CLINICAL
14 AND THAT HAVE HAD PARTNERING ACTIVITIES. SO YOU CAN
15 SEE IN HUNTINGTON'S, PARKINSON'S, ALS, PARKINSON'S
16 DISEASE WE HAVE DETAILS, BUT WE HAVE SOME IN EACH.

17 STEPHEN.

18 MR. JUELSGAARD: YEAH. SO SOME OF THESE
19 DESCRIPTIONS OF WHERE THINGS STAND ARE A LITTLE
20 MISLEADING. I DID MY OWN INDEPENDENT RESEARCH ON
21 ALL OF THESE COMPANIES.

22 I WANT TO START WITH BRAINSTORM BECAUSE
23 ACTUALLY THEY COMPLETED A PHASE 3 CLINICAL TRIAL,
24 BUT HAD AN FDA ADVISORY COMMITTEE MEETING WHICH
25 VOTED 17 TO 1 NOT TO PROCEED, FOR THE FDA NOT TO

BETH C. DRAIN, CA CSR NO. 7152

1 PROCEED WITH APPROVAL BASED ON THEIR PHASE 3 TRIAL.
2 SO THEY'RE BACK TO THE DRAWING BOARD.

3 THE ACUREX -- I'M SORRY. LET'S DO THIS.
4 ASPEN NEUROSCIENCE, THEY HAVE AN IND APPROVED, BUT
5 THEY'RE NOT IN CLINICAL TRIALS YET EVEN THOUGH IT
6 SAYS CLINICAL TRIALS ONGOING. THEY'RE NOT THERE
7 YET.

8 THERE'S ANOTHER ONE THAT SAYS THAT THEY'RE
9 IN CLINICAL TRIALS. SO THAT'S THE ACUREX, THE
10 SECOND ONE DOWN, SAYS CLINICAL TRIALS ONGOING.
11 THAT'S NOT QUITE THE CASE. THEY'RE TRYING TO RAISE
12 MONEY RIGHT NOW TO GET INTO THEIR FIRST CLINICAL
13 TRIAL.

14 ANYWAY, MY POINT IS THAT I DON'T THINK
15 WE'RE NEARLY AS FAR ALONG WITH RESPECT TO INDUSTRY
16 PARTNERS AS THIS SLIDE MIGHT SUGGEST. WE'RE STILL
17 WAY, WAY BACK AT THE VERY BEGINNING OF THINGS. AND
18 THE ONE THAT WAS THE FURTHEST ALONG ACTUALLY FAILED
19 IN TERMS OF ITS PHASE 3 CLINICAL TRIAL. SO WE HAVE
20 A LONG WAYS TO GO IN THE CLINICAL AREA, WHETHER IT'S
21 WITH ACADEMIA OR PARTNERS, BEFORE WE'RE GOING TO GET
22 ANYWHERE IN THIS ENTIRE AREA.

23 DR. CREASEY: CAN I ANSWER STEPHEN?
24 STEPHEN, YOU'RE ABSOLUTELY CORRECT. BRAINSTORM, THE
25 PHASE 3 TRIAL FAILED. THEY'RE STILL DISCUSSING --

BETH C. DRAIN, CA CSR NO. 7152

1 THEY ARE GETTING A SPECIAL KIND OF MANAGEMENT OF A
2 NEW TRIAL WITH THE FDA. SO YOU'RE RIGHT. THERE ARE
3 NO CLINICAL TRIALS ONGOING REGARDING THAT. I THINK
4 THAT MAY -- THE CLINICAL TRIALS ONGOING WAS FOR MS.
5 MAYBE THE WORD "MS" WAS MISSED.

6 THE ASPEN NEUROSCIENCE HAS APPLIED FOR A
7 CIRM GRANT AND WAS APPROVED. IT'S ALREADY -- IT'S
8 STARTING A CLINICAL TRIAL WITH US, AND THAT'S
9 PUBLIC.

10 SO JUST TO CORRECT THOSE TWO. SO, AGAIN,
11 ASPEN IS, AGAIN, WITHIN -- STARTED THEIR PHASE 1
12 CLINICAL TRIAL WITH CIRM FUNDING.

13 MR. JUELSGAARD: WELL, THEY'VE OPENED THE
14 PHASE 1 CLINICAL TRIAL, ABLA, BUT THEY HAVEN'T
15 RECRUITED ANY PATIENTS YET. THAT'S THE ISSUE THERE.

16 DR. CREASEY: STEVE, THE DESIGN THERE IS
17 THESE ARE IPS CELLS WHERE THEY ALREADY HAVE
18 IDENTIFIED THE PATIENTS. THEY HAVE ALREADY BANKED
19 THEIR IPSC CELLS, AND THEY ARE GOING THEN TO TREAT
20 THEM PER -- SO IT'S AUTOLOGOUS. AND THAT'S WHAT'S
21 GOING ON. SO THE RECRUITMENT OF THE PATIENTS IS
22 PART OF THE MODALITY BY WHICH THEY'RE WORKING WITH.

23 CHAIRMAN GOLDSTEIN: SO GIVEN THE TIME,
24 I'M GOING TO ASK THAT ABLA AND STEVE GET ON THE SAME
25 PAGE BETWEEN NOW AND THE NEXT MEETING, AND WE'LL

BETH C. DRAIN, CA CSR NO. 7152

1 HAVE TIME AT THE NEXT MEETING FOR FURTHER DISCUSSION
2 OF THE PORTFOLIOS. BUT UNLESS SOMEBODY HAS A
3 BURNING QUESTION, I'D LIKE TO MOVE ON TO OUR
4 PRESENTATION FROM LORENZ STUDER SO WE DON'T GET TOO
5 FAR BEHIND ON OUR SCHEDULE.

6 SO ANYTHING BURNING, GUYS? OKAY. GOOD.
7 ROSA, THANK YOU VERY MUCH. THAT WAS A VERY
8 COMPREHENSIVE PRESENTATION, AND I WOULD ENCOURAGE
9 FOLKS TO WORK OUT SOME OF THE DIFFERENCES BEHIND THE
10 SCENES, AND THEN WE'LL HAVE ANOTHER DISCUSSION OF
11 THIS PERHAPS MORE IN-DEPTH AT OUR NEXT MEETING
12 IN -- LET'S SEE. WHAT MONTH ARE WE IN, MARCH -- SO
13 APRIL.

14 OKAY. SO OUR NEXT PRESENTER IS LORENZ
15 STUDER, WHO I'M SURE IS KNOWN TO MOST OF THE MEMBERS
16 OF THIS GROUP. LORENZ HAS WORKED ON DIFFERENTIATION
17 OF DOPAMINERGIC CELLS AND NEURONS FOR MANY YEARS
18 THROUGH ALL PHASES OF HIS TRAINING. OF NOTE, HE'S
19 RECEIVED A MACARTHUR FELLOWSHIP, IS A CO-FOUNDER OF
20 BLUE ROCK THERAPEUTICS, AND HAS DONE A GREAT DEAL OF
21 WORK ON THE DEVELOPMENT OF DOPAMINERGIC NEURONS FOR
22 TREATMENT OF PARKINSON'S DISEASE.

23 I'VE ALSO ASKED HIM AT THE END TO COVER
24 SOME AREAS OTHER THAN DOPAMINERGIC TREATMENT FOR
25 PARKINSON'S DISEASE WHERE HE THINKS THERE ARE

BETH C. DRAIN, CA CSR NO. 7152

1 OPPORTUNITIES THAT ARE UNDERRECOGNIZED AND
2 UNDERRESOURCED FOR US TO THINK ABOUT.

3 SO, LORENZ, I HOPE I GOT MOST OF THAT
4 RIGHT, AND I'LL TURN THE MICROPHONE AND PRESENTATION
5 OVER TO YOU.

6 DR. STUDER: THANKS SO MUCH FOR THE
7 INVITATION. I HOPE YOU CAN SEE MY SLIDES. IS THAT
8 OKAY?

9 CHAIRMAN GOLDSTEIN: PERFECT.

10 DR. STUDER: OKAY. EXCELLENT.

11 WHAT I WOULD LIKE TO DO WITH THE NEXT 30
12 MINUTES, IF I HAVE THIS CORRECT, FOLLOWED BY 15
13 MINUTES OF DISCUSSION, GIVE YOU A LITTLE BIT OF AN
14 UPDATE ON CELL THERAPY DEVELOPMENT, FOCUSING, AS AN
15 EXAMPLE, OBVIOUSLY ON OUR OWN WORK, BUT ALSO BROADER
16 STATUS OF THE FIELD. AND AS LARRY MENTIONED, GO A
17 LITTLE BIT BEYOND JUST DOPAMINE NEURON REPLACEMENT
18 TOWARDS THE END.

19 SO JUST TO GET STARTED, I HAVE TO PUT UP
20 MY DISCLOSURE SLIDES BECAUSE WE DID ACTUALLY SPIN
21 OUT A COMPANY, WHICH IS BLUE ROCK THERAPEUTICS. IT
22 WAS ACQUIRED BY BAYER A COUPLE OF YEARS AGO AND THAT
23 SPONSORS THE WORK AND NOW MOVING FORWARD, INCLUDING
24 THE CLINICAL WORK.

25 SO THIS IS, AGAIN, THE BROADER OUTLINE OF

BETH C. DRAIN, CA CSR NO. 7152

1 WHAT I WANT TO DO. SO REALLY SPEND MAYBE ABOUT TEN
2 MINUTES OR 15 MINUTES REALLY ON KIND OF THE CORE OF
3 WHERE WE STAND WITH CLASSIC DOPAMINE NEURON CELL
4 REPLACEMENT THERAPY, BUT THEN TALK ABOUT SOME OF THE
5 REMAINING CHALLENGES OF THAT APPROACH, POSSIBLE NEXT
6 GENERATION PRODUCTS, SOME OF THE LIMITATIONS OF THE
7 FIELD, LIKE ANIMAL MODELS, AND THEN, AGAIN, GO
8 HOPEFULLY A LITTLE BIT INTO THE NON-DOPAMINERGIC
9 FEATURES THAT POTENTIALLY COULD BE TREATED BY CELL
10 THERAPY APPROACHES.

11 I ALSO WANT TO STATE FROM THE BEGINNING
12 THAT I REALLY FOCUS PRETTY MUCH EXCLUSIVELY ON
13 CELL-BASED APPROACHES. AND I'M NOT GOING TO DISCUSS
14 DISEASE MODELING NOW USING STEM CELLS AND SO FORTH,
15 WHICH IS AN AREA WE'RE ALSO VERY MUCH INVOLVED.
16 HAPPY TO DISCUSS, BUT FOR TODAY I'M GOING TO BE
17 FOCUSED ON CELL-BASED THERAPIES.

18 JUST FOR AN INTRODUCTION, I'M NOT GOING TO
19 SPEND MUCH TIME HERE. NOW, THE RATIONALE IS PRETTY
20 CLEAR, THAT WHAT YOU WANT TO DO IS YOU WANT TO
21 REPLACE THE DOPAMINE NEURONS THAT ARE LOST IN THE
22 DISEASE. AND THE REASON WHY IT'S A WIDELY DISCUSSED
23 APPROACH IS BECAUSE YOU ACTUALLY HAVE RELATIVELY FEW
24 OF THOSE IN A HEALTHY PERSON. YOU HAVE ABOUT HALF A
25 MILLION ROUGHLY ON EACH SIDE OF THE BRAIN. ONCE YOU

1 LOSE HALF OF THEM, YOU START GETTING SOME OF THOSE
2 MOVEMENT-RELATED SYMPTOMS. AND YOU ALL KNOW IT'S A
3 VERY COMMON DISEASE. IT'S A BIG SOCIOECONOMIC
4 BURDEN ON THE SOCIETY.

5 IT'S IMPORTANT TO SAY, AND THAT'S LISTED
6 HERE, THAT IT'S NOT A PURELY DOPAMINERGIC DISEASE.
7 AND, AGAIN, THAT'S WHY I WANT TO DISCUSS MAYBE WHAT
8 YOU CAN DO THERE. IT'S ALSO IMPORTANT HOW TO STATE
9 WHAT THE DOPAMINE NEURON REPLACEMENT THERAPY COULD
10 ACHIEVE. AND, AGAIN, THAT'S WHY I WANT TO DISCUSS
11 MAYBE WHAT YOU CAN DO THERE. IT'S ALSO IMPORTANT
12 HOW TO STATE WHAT A DOPAMINE NEURON REPLACEMENT
13 THERAPY COULD ACHIEVE IN PARKINSON'S DISEASE. EVEN
14 IN THE BEST DREAM SCENARIO, IT WOULD BE BASICALLY A
15 CURE, QUOTE, OF THE MOVEMENT DISORDER; BUT IT WOULD
16 NOT BE A CURE OF PARKINSON'S DISEASE BECAUSE, AGAIN,
17 PARKINSON'S HAS SOME OF THOSE OTHER SYMPTOMS SHOWN
18 HERE THAT CAN OFTEN PRECEDE MOVEMENT
19 DISORDER-RELATED SYMPTOMS BY MANY YEARS ACTUALLY.

20 AND SOME OF THEM ARE OBVIOUSLY VERY
21 FEARED. HAPPENS NOT IN ALL THE PATIENTS, BUT QUITE
22 A FEW OF THE PATIENTS ACTUALLY DEVELOP MAJOR
23 COGNITIVE PROBLEMS AT LATER STAGES OF THE DISEASE.

24 SO THIS FIELD REALLY STARTED MANY, MANY
25 YEARS BACK OF AN ID, WHICH IS THE CELL REPLACEMENT

BETH C. DRAIN, CA CSR NO. 7152

1 IDEA, WHICH IS THE CELL REPLACEMENT IDEA. AND IT
2 WAS VERY IMPORTANT PIONEERING BASIC WORK DONE USING
3 AT THAT TIME FETAL DOPAMINE NEURONS, WORK IN THE
4 LATE '80S, '90S. THOSE STUDIES ARE IMPORTANT
5 BECAUSE IT KIND OF SHOWS THE PARADIGM THAT WE REALLY
6 WANT TO PURSUE. THEY SHOWED THAT YOU CAN HAVE FETAL
7 DOPAMINE NEURONS ISOLATED FROM FETAL TISSUE. THEY
8 SHOW THAT THOSE CELLS CAN SURVIVE IN A PARKINSON'S
9 BRAIN. AND THESE RED BLOBS HERE IS A TRACER THAT
10 LABELS THE DOPAMINES CELLS. AND IMPORTANTLY, EVEN
11 THOUGH, THIS PATIENT FOR 23 YEARS OF THE LIFE OF THE
12 GRAFTING DID NOT RECEIVE ANY IMMUNE SUPPRESSION.
13 YOU CAN SEE A VERY NICE SURVIVING GRAFT AT A VERY
14 LATE STAGE OF THAT PATIENT'S LIFE.

15 SO THE POINT THAT I'M TRYING TO MAKE,
16 UNLIKE MANY ORGAN TRANSPLANTATION APPROACHES, IN THE
17 BRAIN YOU'RE PROBABLY GOING TO GET AWAY WITH VERY
18 LITTLE IMMUNOSUPPRESSION. AND THAT MAKES IT
19 ACTUALLY, AGAIN, ALSO A GOOD TARGET.

20 NOW, MANY OF YOU PROBABLY HAVE HEARD OF
21 FETAL TISSUE, AND CLEARLY IT'S NOT SOMETHING THAT'S
22 ROUTINELY USED IN THE CLINIC. AND IT HAS TO SOME
23 EXTENT FAILED ONCE IT CAME TO PLACEBO CONTROLLED
24 TRIALS. HAPPY TO GO INTO MORE DETAIL IF ANYONE IS
25 INTERESTED, BUT A BIG PART OF IT IS THAT THE WAY THE

BETH C. DRAIN, CA CSR NO. 7152

1 CLINICAL TRIALS WERE DESIGNED, THEY HAD THE READOUT
2 PROBABLY TOO EARLY BECAUSE THIS IS, LIKE CALL IT
3 LIKE A LIVING DRUG. THE CELLS, THEY'RE ALIVE, AND
4 THEY ACTUALLY GET THEIR FULL FUNCTIONALITY ONLY BY
5 TWO TO THREE YEARS AFTER WE INJECT THOSE. SO IT'S
6 VERY, VERY SLOWLY BECOMING FULLY FUNCTIONAL.

7 AND SOME PATIENTS ALSO DEVELOP SIDE
8 EFFECTS, AND THAT REALLY WHAT KILLS THE APPROACH,
9 SO-CALLED GRAFT-INDUCED DYSKINESIA. SO THE
10 COMBINATION OF THOSE QUESTIONABLE EFFICACY AND
11 POTENTIAL SIDE EFFECTS KIND OF STOPPED THE FIELD,
12 BUT NOT COMPLETELY BECAUSE NEUROLOGISTS FOLLOWED
13 THOSE PATIENTS STILL LONG TIME AND FOUND AT LEAST A
14 SUBSET OF THOSE SEEM TO BE DOING VERY UNUSUALLY
15 WELL.

16 NOW, ADMITTEDLY, THIS WERE A VERY FEW
17 PATIENTS, BUT THERE ARE SOME PATIENTS WHERE CONTACT
18 WOULD STOP THE DOPAMINE THERAPY FOR MANY YEARS. AND
19 THAT'S SOMETHING THAT'S VERY RARE IN A DISEASE
20 THAT'S CONSTANTLY PROGRESSING.

21 NOW, WE SHOULD SOON HEAR FROM A STUDY THAT
22 WAS DONE BY ROGER BARKER THAT ACTUALLY WENT BACK AND
23 SAY, OKAY, DID WE LEARN FROM THOSE EARLY STUDIES
24 BEFORE 2000? CAN WE SELECT THE PATIENTS BETTER?
25 AND THEN SHOW, NO, THAT THIS WAS REALLY THE PROBLEM

BETH C. DRAIN, CA CSR NO. 7152

1 WHY THE STUDY FAILED, MAYBE DIFFERENT CLINICAL TRIAL
2 DESIGN. AND, AGAIN, THIS IS STILL UNPUBLISHED DATA,
3 SO I CANNOT GO INTO TOO MUCH DETAIL, BUT I DON'T
4 THINK, AGAIN, THAT THE STUDY IS GOING TO RESOLVE IT
5 BECAUSE I THINK EVEN THERE IT'S KIND OF UNCLEAR HOW
6 COULD IT REALLY WORK. IT'S STILL AN UNCONTROLLED
7 STUDY. AND THEY HAD ALSO NUMBER OF CHALLENGES THAT
8 HAD TO DO WITH DEVICES, WITH CONSISTENCY OF THE
9 FUNCTION, AND SO FORTH. SO I DON'T THINK THIS IS A
10 RESOLVED ISSUE. AND I THINK THE MAIN THING WE
11 LEARNED, AND ROGER COULD SPEAK MORE TO THAT, IS THAT
12 IT'S REALLY CURRENTLY NEARLY IMPOSSIBLE TO DO WITH
13 THIS FETAL TISSUE. BECAUSE YOU APPLY SIMILAR
14 STANDARDS OF QUALITY CONTROL TO FETAL TISSUE, IT'S
15 VERY, VERY DIFFICULT TO DO THAT IN A WAY TO
16 ROUTINELY TREATING PATIENTS.

17 SO THAT'S WHY WE AND OTHERS TRIED TO
18 DEVELOP PLURIPOTENT-BASED APPROACHES. THAT GOES TEN
19 YEARS BACK WHERE WE SHOWED WE COULD MAKE THOSE
20 DOPAMINE CELLS IN A WAY THAT THEY CAN RESCUE AND
21 SURVIVE IN A MOUSE BRAIN, IN A RAT BRAIN, OR IN A
22 MONKEY BRAIN. THEY CAN DO THAT IN QUITE LARGE
23 NUMBERS. THEY CAN RESTORE SOME OF THE BEHAVIORIAL
24 ASSAYS. WE COME BACK TO A LITTLE BIT WHAT'S THE
25 PROBLEM WITH SOME OF THOSE MODELS. BUT THERE'S ONE

BETH C. DRAIN, CA CSR NO. 7152

1 MODEL WHERE THE MOUSE HAS KIND OF PARKINSON'S,
2 THEY'RE GOING TO CALL IT, ON ONE SIDE OF THE BRAIN,
3 AND THEN IT SPINS AROUND ITS OWN AXIS IF YOU
4 STIMULATE IT, AND WE CAN USE THAT AS A READOUT. SO
5 THEN ON THE ONE SIDE THAT HAS THE DISEASE GETS
6 GRAFTED, IT BECOMES SYMMETRIC.

7 THIS IS A RAT WHERE YOU SEE ON THE LEFT OF
8 THAT -- IF YOU LOOK AT THIS SIDE, YOU CANNOT REALLY
9 PROPERLY INITIATE MOVEMENT IN THIS PAW; BUT AFTER
10 GRAFTING, IT CAN DO THAT AGAIN.

11 AND FINALLY, I'M NOT GOING TO SPEND TOO
12 MUCH TIME ON THAT BECAUSE IT'S A BIT TECHNICAL, BUT
13 WE ALSO KNOW EXACTLY HOW THESE CELLS FUNCTION. SO
14 IT'S NOT JUST SOME KIND OF A WEIRD CELL WHERE WE
15 HOPE THAT IT'S POSITIVELY INFLUENCING THE BRAIN. WE
16 CAN ACTUALLY PUT THE CELLS IN WITH LIKE A LIGHT
17 SWITCH WHERE THE CELLS GO INTO THE BRAIN. THEY
18 RESCUE ALL THOSE BEHAVIORS, BUT THEN THEY CAN
19 LITERALLY FLIP THE LIGHT SWITCH AND SWITCH THEM OFF.
20 AND THEY SHOWED THAT THE ANIMAL IS AGAIN FULLY
21 PARKINSONIAN.

22 SO THOSE STUDIES SHOW IN THIS CASE IT'S
23 REALLY THE NERVE CELLS THAT INTEGRATE INTO THE
24 BRAIN, THEY SECRETE DOPAMINE, AND THEY SECRETE IT TO
25 THE RIGHT CELL. AND SO THAT'S, AGAIN, ONE OF THE

BETH C. DRAIN, CA CSR NO. 7152

1 FEW DISEASES WHERE WE ACTUALLY HAVE A LOT OF THIS
2 MECHANISM WHERE WE KNOW EXACTLY HOW THE CELLS
3 FUNCTION.

4 FROM THERE IT TOOK US ABOUT ANOTHER TEN
5 YEARS TO REALLY GET THAT PROTOCOL THAT WORKED IN
6 THOSE MOUSE, RAT, AND MONKEY IN A WAY THAT WE CAN
7 USE IT IN PATIENTS. BUT THERE'S ALL THESE
8 MANUFACTURING ISSUES THAT WE RESOLVED. WE GOT AN
9 AWARD FROM THE NEW YORK STATE PROGRAM, WHICH IS A
10 \$15 MILLION AWARD TO REALLY MAKE A CLINICAL GRADE
11 PRODUCT. WE CALLED IT MSK-DA01. AND AS YOU
12 PROBABLY ALL KNOW, YOU HAVE TO DO A LOT OF TESTING
13 IN ANIMALS. YOU DO TUMORIGENICITY TESTING,
14 BIODISTRIBUTION, TOXICOLOGY, HUNDREDS OF ANIMALS.
15 SO THIS IS MILLION DOLLARS OF STUDIES.

16 WE DO IT IN THE RAT WHERE WE HAD SOMETHING
17 LIKE 50 RATS WHERE WE HAD TO SHOW THAT WE CAN RESCUE
18 THE BEHAVIOR. AND WE DID ALSO A NUMBER OF MONKEYS
19 TO SHOW THAT THE DEVICE WE'RE GOING TO USE IN THE
20 PATIENT ACTUALLY CAN RELIABLY DELIVER THE CELLS IN A
21 LARGE BRAIN SUCH AS IN A MONKEY.

22 AND I'LL SHOW YOU JUST ONE PICTURE HERE
23 BECAUSE IT'S VERY EASY TO UNDERSTAND. SO IT'S,
24 AGAIN, THIS MODEL WHERE YOU HAVE PARKINSON'S-LIKE
25 ISSUES FOR MOVEMENT ON ONE SIDE OF THE BRAIN. SO

BETH C. DRAIN, CA CSR NO. 7152

1 YOU LACK THIS BROWN COLOR WHICH IS THE DOPAMINE
2 FIBERS. BUT ONCE YOU GRAFT OUR CELLS THAT WERE
3 COMPLETELY GENERATED IN A DISH, HUMAN-DERIVED CELLS,
4 YOU CAN SEE NOT ONLY THE CELLS HERE, THIS DARK AREA
5 ARE THE CELLS, BUT THEN THEY RECONNECT WITH THE
6 WHOLE BRAIN. AND, AGAIN, WE DO THAT EFFECTIVELY
7 HERE IN MANY, MANY MALE OR FEMALE PARKINSONIAN
8 ANIMALS.

9 SO THAT LED US, THEN, IN 2021 TO REALLY
10 GRAFT THE FIRST PATIENT. IN LATE 2020 WE GOT
11 APPROVAL FROM THE FDA THAT SHOWS YOU JUST HOW THAT
12 WORKS. SO THEY COME FROM THE GMP FACILITY WHERE
13 THEY ARE PREPARED, THEY'RE BROUGHT INTO THE SURGERY
14 ROOM, THEY ARE LOADED INTO A DEVICE THAT WE ADJUST
15 AND OPTIMIZE FOR DELIVERY OF THOSE CELLS BY
16 DR. TABAR, WHO'S THE NEUROSURGEON IN THIS STUDY AND
17 ALSO DID MOST OF THE PRECLINICAL WORK. YOU HAVE TO
18 CAREFULLY CHECK THAT THE CELLS ARE PROPERLY
19 DELIVERED, AND EVENTUALLY INJECT IT DIRECTLY INTO
20 THE BRAIN.

21 SO YOU ACTUALLY HAVE TO INJECT IT INTO THE
22 BRAIN PARENCHYMA VERY, VERY CAREFULLY OBVIOUSLY TO
23 MAKE SURE YOU DON'T CAUSE ANY BLEEDING AND SO FORTH.
24 AND YOU INJECT BASICALLY IN EACH PATIENT ON EACH
25 SIDE OF THE BRAIN THREE TRACTS. AND EACH OF THOSE

BETH C. DRAIN, CA CSR NO. 7152

1 TRACTS ARE THREE DEPOSITS OR NINE DEPOSITS ON EACH
2 SIDE AND 18 DEPOSITS THEN IN TOTAL PER PATIENT. WE
3 DID THAT IN TOTAL OF 12 PATIENTS, SO IT'S SOMETHING
4 LIKE MORE THAN 200 DEPOSITS WERE DONE IN THIS
5 CLINICAL TRIAL. AND I'M HAPPY TO SAY THAT WE DIDN'T
6 GET ANY COMPLICATION WITH REGARD TO BLEEDING,
7 HEMORRHAGING, OR ANYTHING LIKE THAT.

8 NOW, THIS MSK-DA01 PRODUCT HAS NOW THIS
9 LITTLE BIT UNPRONOUNCEABLE NAME, BEMDANEPROCEL.
10 THAT'S NOW THE PRODUCT NAME THAT BLUE ROCK TAKES IT
11 FORWARD. AND WHAT I CAN TELL YOU, AND AGAIN I'M NOT
12 GOING TO SPEND MUCH TIME ON THAT, THESE ARE SOME OF
13 THE RESULTS FROM THIS PHASE 1 STUDY THAT IS NOT
14 PUBLISHED, BUT THEY HAVE BEEN PUBLICLY RELEASED BY
15 THE COMPANY SHOWING JUST THAT IT GENERALLY WAS SAFE
16 AND WELL TOLERATED IN ALL 12 PATIENTS. FIVE OF
17 THOSE PATIENTS RECEIVED THE LOWER DOSE, SEVEN A
18 SLIGHTLY HIGHER DOSE. WE COULD SHOW THAT THIS
19 DELIVERY CAN BE DONE SAFELY, I MENTIONED AGAIN, WITH
20 NO BLEEDING. IT TRANSIENTLY IMMUNOSUPRESSED THE
21 PATIENT, BUT, AGAIN, ONLY TRANSIENTLY; AND IT WAS,
22 AGAIN, VERY WELL TOLERATED.

23 WE HAVE EVIDENCE THAT THE CELLS SURVIVED.
24 REMEMBER THIS RED COLOR ON THE FETAL GRAFTING, SO WE
25 HAVE SIMILAR DATA FOR THOSE FOR THOSE SHOWING THAT

BETH C. DRAIN, CA CSR NO. 7152

1 THEY CAN INCREASE THIS DOPAMINE SIGNAL. AND WE HAVE
2 SOME SUGGESTION, THIS IS A PHASE 1 STUDY, SMALL
3 GROUPS, BUT YOU HAVE SUGGESTION THAT THERE MIGHT BE
4 ACTUALLY AN EFFECT ON CLINICAL IMPACT, AND IT MADE A
5 GREATER EFFECT IN THE PATIENTS THAT GOT MORE CELLS.

6 I'LL SHOW YOU ONE SLIDE AFTER THAT TO
7 ILLUSTRATE THAT THEY DIDN'T SEE THE SIDE EFFECTS
8 THAT WERE SEEN IN THOSE FETAL GRAFTS IN THE PAST.
9 AND, AGAIN, THE CAVEAT, THIS IS STILL A SMALL SAMPLE
10 SIZE, THE PRIMARY GOAL WAS SAFETY AND FEASIBILITY.
11 AND, IN FACT, IN THIS REGARD, THE STUDY HAS ALREADY
12 MET, SO-CALLED TECHNICALLY MET ITS PRIMARY ENDPOINT
13 AND CAN NOW MOVE ON TO A LATER STAGE STUDY.

14 AGAIN, I'LL SHOW YOU TWO SLIDES WITH
15 REGARD TO THE EARLY SIGNS OF POTENTIAL EFFICACY.
16 COHORT A IS THE LOWER DOSE GROUP, THE COHORT B THE
17 HIGHER DOSE GROUP. AND THIS IS ONE OF THOSE
18 MEASURES, SO THESE ARE PATIENTS RELATIVELY SEVERE.
19 AND EVEN WITH THE BEST TREATMENT, THEY SPEND ABOUT
20 FOUR, FIVE HOURS OF THE DAY SO-CALLED OFF. SO THEY
21 CANNOT PROPERLY DO THEIR ACTIVITY OF DAILY LIFE,
22 PROPERLY MOVE AROUND, AND SO FORTH, SO THEY ARE OFF.

23 BUT YOU CAN SEE THEY GOT NEARLY AN
24 ADDITIONAL -- THEY COULD REDUCE THAT FOUR TO FIVE
25 HOURS BY ABOUT TWO HOURS IN THE HIGHER DOSE. AND

BETH C. DRAIN, CA CSR NO. 7152

1 THIS IS THE CONVERSE ONE SO-CALLED ON TIME.
2 OBVIOUSLY IF THEY'RE NOT OFF, THEY'RE ON, BUT
3 THEY'RE ON NOW WITHOUT ANY OF THOSE DYSKINESIA THAT
4 YOU SOMETIMES SEE IF YOU GET ON WITH A DRUG.

5 WHAT'S ALSO EXCITING IS THAT TREND SEEMS
6 TO CONTINUE. SO THESE ARE THE DATA JUST RELEASED
7 ABOUT A MONTH OR A FEW WEEKS ACTUALLY, LESS THAN A
8 MONTH AGO, FROM THE 18TH MONTHS DATA. AND NOW IT'S
9 ACTUALLY 2.7 HOURS IN THE HIGH DOSE TO OFF IMPROVED
10 AND 2.7 HOURS IN THE ON. AND SO THAT'S REALLY
11 IMPORTANT BECAUSE BY 12 MONTHS WE REMOVE THE
12 IMMUNOSUPPRESSION. BUT THAT SUGGESTS, AGAIN, IF YOU
13 BELIEVE THIS IS A REAL MEANINGFUL EFFECT, GOES IN
14 THE RIGHT DIRECTION, CELLS CONTINUE TO MATURE, SEEMS
15 TO HAVE BETTER EFFECT ON THE IMMUNOSUPPRESSION, DID
16 NOT IMPACT THE LOSS OF IMMUNOSUPPRESSION.

17 THAT'S ANOTHER SCORE. AGAIN, MANY OF YOU
18 MIGHT NOT KNOW EXACTLY PARKINSON'S SCORE, BUT IT'S
19 THE MOST WIDELY USED PARKINSON'S SCORE. ON THE
20 SCALE, THESE PATIENTS HAVE TYPICALLY ABOUT 40 TO 50
21 POINTS. THE MORE POINTS YOU HAVE, THE MORE SEVERE
22 YOU ARE. YOU SEE THAT BY 12 MONTHS, PARTICULARLY IN
23 THE HIGH DOSE GROUP, THERE SEEMS TO BE A TREND THAT
24 THIS GETS BETTER BY 13 POINTS. AND EVEN THE WORST
25 PATIENT SHOWN HERE WAS GOING A LITTLE BIT DOWN.

BETH C. DRAIN, CA CSR NO. 7152

1 AND, AGAIN, USUALLY AS THE DISEASE PROGRESSES, YOU
2 SLOWLY INCREASE THE SCORE.

3 BUT WHAT'S REALLY EXCITING IS BY 18 MONTHS
4 THE HIGH DOSE COHORT WENT ALL THE WAY TO MINUS 23
5 POINTS, WHICH IS A QUITE A BIG POINT CHANGE. AGAIN,
6 WE HAVE TO BE VERY CAREFUL BECAUSE IT'S A SMALL
7 GROUP OF PATIENTS, BUT IT'S AT LEAST AS GOOD AS WE
8 COULD HAVE EXPECTED FROM A PHASE 1 STUDY. AND SO
9 WE'RE REALLY EXCITED TO SEE HOW THAT MOVES FORWARD.

10 SO THE WAY IT'S SUPPOSED TO MOVE FORWARD
11 IS THAT WE'RE NOW MOVING TO NEXT PHASE OF STUDY,
12 WHICH IS SUPPOSED TO START IN Q3 OF THIS YEAR, AND
13 THAT WILL BE A PLACEBO CONTROLLED STUDY. AND
14 THERE'S, AGAIN, SOME CHALLENGES HOW YOU ACTUALLY DO
15 THAT FOR A SURGICAL TRIAL, PUTTING CELLS INTO THE
16 BRAIN AND SO FORTH. SO HAPPY TO DISCUSS THAT, BUT
17 THIS WILL, AGAIN, START VERY, VERY SOON ON A LARGE
18 SET OF PATIENTS AND MANY MORE CENTERS THAN THE EARLY
19 STUDY.

20 HERE WE GET, THEN AGAIN, HOW THIS COMPARED
21 TO OTHER ONGOING EFFORTS IN THE FIELD, AND I THINK
22 YOU DISCUSSED A LITTLE BIT WHAT CIRM ALREADY FUNDED.
23 SO THERE HAS BEEN A TRIAL STARTED IN JAPAN ACTUALLY
24 QUITE A LONG TIME AGO, BUT THEY HAVE A SLIGHTLY
25 DIFFERENT APPROACH. SO UNLIKE OUR GROUP WHERE WE

BETH C. DRAIN, CA CSR NO. 7152

1 MAKE SOMETHING LIKE TEN BILLION CELLS OFF THE SHELF,
2 THEN WE JUST GO BACK TO THE FREEZER AND TAKE CELLS
3 FOR EVERY PATIENT, THEY HAD TO PREPARE THE CELLS FOR
4 INDIVIDUAL PATIENTS BECAUSE THEY COULDN'T FREEZE THE
5 CELLS AT THE ENDPOINT. SO THEY HAVEN'T REALLY
6 REPORTED THEIR RESULTS YET, BUT THEY HAVE THOSE
7 SEVEN PATIENTS. AND I THINK THEY'RE AT THE ONE- OR
8 TWO-YEAR MARK. SO HOPEFULLY SOON WE'LL HEAR FROM
9 THEM. AND THEY ACTUALLY WANT TO DO A SIMILAR EARLY
10 STAGE, I THINK A PHASE 1 TRIAL, THEY APPLIED FOR FDA
11 DOING THAT IN THE U.S.

12 THERE'S ANOTHER GROUP IN LUND, AND
13 ACTUALLY THOSE THREE GROUPS TOGETHER WITH OUR GROUP,
14 SO-CALLED FOUNDER OF GFORCE-PD, WHERE AS A COMMUNITY
15 WE TRIED TO HELP EACH OTHER NOW MOVING THE FIELD
16 FORWARD IN KIND OF A NONCOMPETITIVE, COLLABORATIVE
17 MANNER EVEN THOUGH WE ALL STARTED OUR OWN COMPANIES
18 IN ORDER TO TAKE THIS WORK FORWARD.

19 SO THIS HAVE SO FAR GRAFTED TWO PATIENTS,
20 AND THEY USE A VERY SIMILAR TYPE PRODUCT, WHICH IS
21 ALSO OFF THE SHELF, LARGE-SCALE. THERE WAS ONE
22 PATIENT REPORTED IN THE PAST THAT WAS BASICALLY
23 TREATED IN A WAY THAT DIDN'T REALLY REQUIRE THE
24 STANDARD FDA APPROVAL BECAUSE THEY'RE A ONE-OFF
25 CASE, NOT A REAL CLINICAL TRIAL. BUT IT'S NOT CLEAR

BETH C. DRAIN, CA CSR NO. 7152

1 HOW MUCH THE PATIENT REALLY BENEFITED, BUT IT WAS
2 THE FIRST AUTOLOGOUS STUDY. SIMILAR TO WHAT ASPEN
3 WANTED TO DO IN CALIFORNIA, WAS MENTIONED BEFORE, I
4 DON'T THINK THEY GRAFTED ANY PATIENT YET, BUT THEY
5 HAVE CLEARANCE.

6 THERE'S ANOTHER GROUP IN SOUTH KOREA THAT
7 HAS, I THINK, BY NOW DOSED 12 PATIENTS. BUT, AGAIN,
8 THEY'VE JUST FINISHED THE DOSING. SO THEY'RE NOW
9 MAYBE ABOUT ROUGHLY TWO YEARS BEHIND OUR STUDY TO
10 REPORT ON THEIR DATA.

11 AND THEN THERE ARE ADDITIONAL TRIALS
12 PLANNED. I THINK WE HEARD ABOUT KENAI WAS MENTIONED
13 BEFORE. OLE ISAACSON AT HARVARD RECENTLY GOT
14 APPROVAL FOR ANOTHER AUTOLOGOUS TRIAL.

15 SO THE POINT I'M TRYING TO MAKE HERE IS
16 THAT OBVIOUSLY THERE'S A HIGH LEVEL OF SATURATION
17 ALREADY IN THE FIELD, NOT TO SAY THERE SHOULDN'T BE
18 MORE, BUT I THINK IT'S IMPORTANT THAT NEW TRIALS, I
19 THINK, GO A LITTLE BIT BEYOND WHAT HAS BEEN ALREADY
20 DONE. AND I THINK THAT'S GOING TO BE NOW ON A MUCH
21 QUICKER PACE, SOME OF THE OTHER TOPICS I WANT TO
22 TOUCH UPON.

23 SO WHAT ARE THE CHALLENGES OF THE
24 APPROACH, KIND OF THE APPROACH THAT I MENTIONED FOR
25 OURSELVES, FOR EXAMPLE? SO I SHOWED YOU THAT MAYBE

BETH C. DRAIN, CA CSR NO. 7152

1 THE HIGHER DOSE OF PATIENTS DOES BETTER, BUT IS THIS
2 REALLY THE BEST DOSE? HOW DO YOU FIGURE IT OUT IS
3 ACTUALLY QUITE CHALLENGING. I MENTIONED ALSO SOME
4 TRIALS ARE ALLOGENEIC, OFF THE SHELF, SOME ARE
5 AUTOLOGOUS PATIENT BY PATIENT. SOME GROUPS WANT TO
6 MAKE IMMUNE-COMPATIBLE CELLS, UNIVERSAL CELLS,
7 SO-CALLED HYPER-IMMUNE CELLS. BUT THERE'S
8 QUESTIONS. RIGHT NOW ALL THE GROUPS PUT THE CELLS
9 INTO THE TARGET REGION WHERE THE DOPAMINE IS
10 MISSING, BUT THEY DON'T PUT THEM EXACTLY AT THE
11 PLACE WHERE THEY NORMALLY ARE. SHOULD THAT BE DONE?
12 IT'S AN INTERESTING QUESTION.

13 THEY ARE ALSO DIFFERENT STAGES OF CELLS
14 THAT ARE USED THAT MIGHT HAVE DIFFERENT POTENCY.
15 AND THERE'S ALSO STILL THIS ISSUE OF GRAFT SURVIVAL,
16 THAT MANY CELLS ACTUALLY DIE WHEN YOU INJECT THEM,
17 AND THAT CAN LEAD TO VARIABILITY EVEN IF YOU JUST
18 SIMPLY ADD MORE CELLS TO COMPENSATE FOR THAT.

19 THERE'S ALSO AN IMPORTANT ISSUE. NOW, IF
20 YOU THINK ABOUT ACTUAL TRANSLATION, I WANT TO DO
21 THAT ROUTINELY, HUNDREDS OF THOUSANDS OF PATIENTS ON
22 THE THERAPY, WHAT IS THE DEVICE YOU ARE GOING TO
23 USE? YOU'RE NOT ALWAYS GOING TO HAVE AN EXPERT LIKE
24 VIVIANE WHO WORKED ON THAT FOR MANY YEARS IN ANIMAL
25 MODELS AND CAN LOAD THIS COMPLICATED WAY THE NEEDLE.

BETH C. DRAIN, CA CSR NO. 7152

1 SO A LOT OF EFFORT BY MANY WHO SAY THEY NEED TO
2 INVEST INTO DEVICES TO MAKE THAT KIND OF FOOLPROOF.
3 AND SO I THINK THAT'S AN AREA THAT'S GOING TO BE
4 QUITE IMPORTANT, BUT THAT'S GOING TO BE SPONSORED BY
5 ORGANIZATIONS LIKE CIRM OR DONE IN THE INDUSTRY.
6 WE'LL HAVE TO SEE.

7 THERE'S ALSO NEW WAYS TO TRACK PATIENTS.
8 RIGHT NOW WE DO UPDRS TIME OFF. THESE ARE VERY
9 CRUDE MEASURES. AND SO YOU CAN IMAGINE THAT A LOT
10 OF INFORMATION THAT YOU CAN GET FROM WEARABLES,
11 APPLE WATCHES; FITBITS, AND SO FORTH. THERE'S A
12 SYSTEM THAT ACTUALLY BLUE ROCK OR BAYER IS USING
13 CALLED EMERALD WHERE YOU DON'T NEED TO EVEN WEAR A
14 DEVICE. YOU CAN INSTALL IT AT HOME, AND THEY CAN
15 REMOTELY KEEP TRACK OF THE MOVEMENT OF PATIENTS.

16 AND THEN THERE'S ALSO THE QUESTION OF
17 PATIENT STRATIFICATION. WE KNOW SO MUCH MORE ABOUT
18 THE DISEASE. MICHAEL J. FOX FOUNDATION TOGETHER
19 WITH OTHERS INVEST HUNDREDS OF MILLIONS TO REALLY DO
20 THIS TRACKING OF PATIENTS. AND SO WE CAN NOW ASK
21 WHAT ARE THE BEST PATIENTS THAT MIGHT BENEFIT FROM
22 THIS APPROACH? WHAT ARE THE ONES MAYBE THAT ARE
23 LESS SUITED? AND HOW CAN WE HAVE MAYBE BIOMARKERS
24 THAT HELP US TO STUDY THAT?

25 WHAT ABOUT ON THE CELL SIDE? WHAT'S THE

BETH C. DRAIN, CA CSR NO. 7152

1 NEXT GENERATION CELLS? SO THERE IS ALWAYS -- YOU
2 CAN MAKE CELLS ALWAYS BETTER, NO? YOU CAN ALWAYS
3 MAKE THEM MORE POTENT. WE THINK MAYBE IT COULD MAKE
4 THEM FULLY MORE MATURE WHEN YOU INJECT THEM. MAYBE
5 THEY NEED LESS CELL TO MATURE. THERE WOULD BE
6 REALLY NO RISK AT ALL THAT THE CELLS COULD FORM
7 TUMORS IF THEY'RE ALL POSTMITOTIC.

8 SO THESE ARE AREAS WHERE YOU COULD
9 DEVELOP, BUT SOME PEOPLE WILL SAY WHY DO YOU NEED IT
10 IF THE OTHER STUFF ALREADY WORKS. BUT THAT'S AN
11 AREA THAT CONTINUES TO BE DEVELOPED, PARTICULARLY IF
12 YOU GET SOME OF THE RIGHT SUBTYPES.

13 THIS ISSUE OF SURVIVAL, WE MADE ACTUALLY
14 SOME MAJOR PROGRESS. THERE'S A PAPER COMING OUT
15 SOON WHERE WE FIGURED OUT SOME OF THE MECHANISM WHY
16 CELLS DIE WITHIN ACTUALLY THE FIRST FEW DAY AFTER
17 GRAFTING. AND WE HAVE A VERY SIMPLE FIX THAT HAS TO
18 DO WITH TNF ALPHA SIGNALING THAT CAN BASICALLY
19 OVERCOME THAT THAT COULD BE ADDED TO SUCH GRAFT,
20 MAYBE MAKE THEM MORE RELIABLE AND REQUIRING LESS
21 CELLS.

22 AND THEN, FINALLY THAT'S REALLY A BIG
23 TOPIC, NO, FOR THE FIELD. CAN WE DO CELL PLUS GENE?
24 SO CAN WE ADD A GENE THAT HELPS THE CELLS TO BE EVEN
25 BETTER THAN THE NATURAL VERSION?

BETH C. DRAIN, CA CSR NO. 7152

1 SO I ALREADY MENTIONED, FOR EXAMPLE, YOU
2 COULD MAKE THEM SUCH THAT MAYBE DON'T EVEN NEED
3 INITIAL 12 MONTHS OF IMMUNE SUPPRESSION.

4 TECHNICALLY YOU CAN DO IT, BUT YOU MAKE CELLS THAT
5 ARE IMMUNE EVASIVE, BUT THEY HAVE THEIR OWN RISKS.

6 THE OTHER OPTION IS YOU GRAFT THE CELLS
7 INTO A PATIENT THAT HAS AN ONGOING DISEASE, AND
8 THERE ARE WAYS YOU MIGHT ACTUALLY BE ABLE TO PROTECT
9 THOSE CELLS MORE EFFECTIVELY. AND, AGAIN, WE DON'T
10 GO TOO MUCH IN TECHNICAL DETAILS, BUT (CORRUPTED
11 TRANSMISSION) THAT'S GOING TO BE REQUIRED TO
12 TRANSMIT THE DISEASE TO THE GRAFT.

13 BUT THERE'S ALSO THE IDEA THAT YOU CAN
14 INTRODUCE CERTAIN GENE MUTATIONS THAT ARE ACTUALLY
15 NOT ONLY NOT CAUSING THE DISEASE, BUT MIGHT
16 PROTECTIVE IN THOSE NEURONS. YOU MAKE A SPLIT OF
17 THE NEURONS THAT MIGHT DO MUCH, MUCH BETTER IN THE
18 DISEASE CONTEXT.

19 YOU COULD ALSO USE GLIAL CELLS. THESE ARE
20 VERY INTERESTING AREAS THAT COULD BE PURSUED FOR THE
21 NEXT GENERATION. THERE'S ALSO CLINICIAN T HAT COMES
22 THERE AND HAS TO DO, AS I SAID BEFORE, IF IT ALREADY
23 WORKS, HOW DO YOU KNOW IT WORKS BETTER? AND SO
24 SOMETIMES YOU CAN JUST GO TO LARGER ANIMAL MODELS.
25 AND ONE AREA, FOR EXAMPLE, THAT YOU WANT TO STUDY:

BETH C. DRAIN, CA CSR NO. 7152

1 IS IT A GOOD IDEA TO PUT THE CELLS ALSO BACK INTO
2 THE LOCATION WHERE THEY NORMALLY ARE IN THE
3 MIDBRAIN? BUT FROM THERE THEY NEED TO MAKE VERY,
4 VERY LONG CONNECTIONS BACK TO THE TARGET REGION.

5 SO THE IDEA WOULD BE TO MAKE TWO SITES.
6 ONE SITE WHERE THE CELLS ARE IMPLANTED CURRENTLY
7 LIKE IN THE CLINICAL TRIAL, BUT ANOTHER SITE WHERE
8 THEY ARE NORMALLY LOCATED. BUT WE NEED TO HAVE A
9 LARGE ANIMAL MODEL TO SHOW THAT THIS APPROACH REALLY
10 CAN WORK OVER VERY LARGE DISTANCES.

11 THE OTHER POINT IS, AGAIN, NOT VERY
12 SENSITIVE TO POTENCY. SOME OF THOSE ASSAYS IN THE
13 ANIMAL ROTATE ON THEIR AXIS. THEY RECOVER VERY
14 EASILY. IF YOU HAVE NOW A CELL THAT'S THREE TIMES
15 MORE POTENT, THEY'RE STILL GOING TO RECOVER THE SAME
16 WAY. THEY'RE ALREADY FULLY RECOVERED. SO WE NEED
17 TO THINK MORE SENSITIVE ASSAYS THAT PEOPLE TRY TO
18 DEVELOP. FOR EXAMPLE, THEY USE DEEP LEARNING IN THE
19 MOUSE BEHAVIOR, BUT THEY HAVE THE ANIMALS DOING
20 TASKS AND SO FORTH. SO IT'S GOING TO BE VERY
21 IMPORTANT TO LOOK AT MUCH MORE FINE BEHAVIORAL
22 FUNCTION OR FUNCTIONS RELATED TO LEARNING AND SO
23 FORTH.

24 AND THESE ARE OTHER ANIMALS THAT MAYBE
25 MIMIC THE DISEASE A LITTLE BIT BETTER. THIS IS AN

BETH C. DRAIN, CA CSR NO. 7152

1 ANIMAL MODEL FROM JIM SURMEIER THAT'S VERY CHRONIC
2 PROGRESSIVE. FIRST YOU LOSE THE DOPAMINE FIBERS
3 WHERE THEY CONNECT IN THE BRAIN AND ONLY LATER THE
4 CELL BODIES. AND THAT'S EXACTLY WHAT HAPPENS
5 NORMALLY IN THE DISEASE UNLIKE THE MODELS THAT WE'VE
6 USED FOR OUR CLINICAL DEVELOPMENT WHERE WE JUST WIPE
7 OUT THE DOPAMINE CELLS IN ONE SHOT.

8 THERE'S ANOTHER ANIMAL THAT NOW VIVIANE
9 TABAR, I MENTIONED HER NAME BEFORE, WE WORK A LOT
10 WITH HER. SHE USES AN ANIMAL MODEL THAT ACTUALLY
11 HAS KIND OF A TREMOR-LIKE SYMPTOM SIMILAR TO THE
12 PATIENTS AND THAT HAS ALSO DISEASE SYMPTOMS, THIS
13 ALPHA-SYNUCLEIN IN THE BRAIN. AND TO SEE HOW THE
14 CELLS BEHAVE IN THIS DISEASE ENVIRONMENT WILL BE
15 VERY INTERESTING. BUT, AGAIN, AN AREA I THINK THAT
16 IS INTERESTING TO ACTUALLY BETTER UNDERSTAND
17 INTERACTION OF THE CELLS IN SUCH A BRAIN.

18 NOW, THAT LEADS ME THEN TO THE NEXT POINT,
19 WHICH IS WHAT CAN WE DO MAYBE BEYOND DOPAMINE? SO
20 EVERYTHING THAT I TALKED TO YOU ABOUT HAS BEEN TO
21 MAKE THE DOPAMINE APPROACH BETTER, MAYBE MAKE IT
22 MORE POTENT, MAYBE DOESN'T REQUIRE IMMUNE
23 SUPPRESSION, AND SO FORTH. I THINK THESE ARE ALL
24 IMPORTANT AREAS.

25 AND, AGAIN, THIS IS GOING TO BE VERY SHORT

BETH C. DRAIN, CA CSR NO. 7152

1 BECAUSE, AGAIN, WE DON'T REALLY KNOW TOO MUCH YET,
2 BUT I LISTED WHEN I GAVE YOU THE PICTURE NOW WITH
3 THE PATIENT AND WITH THE SYMPTOMS, IT HAD SOME OF
4 THOSE POINTS, LOSS OF SMELL, SLEEP DISORDERS,
5 GASTROINTESTINAL DISORDER. AND IF YOU TALK TO SOME
6 OF THE PATIENTS, THEY CAN ACTUALLY BE QUITE
7 DEBILITATING, PARTICULARLY THE GI COMPONENT. THEY
8 OFTEN REALLY COMPLAIN QUITE SEVERELY. IT'S NOT JUST
9 A LITTLE BIT BEING DISCOMFORT WHERE IT CAN BE REALLY
10 QUITE DEBILITATING. BUT OBVIOUSLY EVEN MORE
11 DEBILITATING EVENTUALLY IS THE COGNITIVE LOSS OF
12 FUNCTION.

13 AND SO, AGAIN, RIGHT NOW WE DON'T HAVE ANY
14 GOOD APPROACH AT THIS POINT, AND WE DON'T ALSO KNOW
15 EXACTLY EVERYTHING ABOUT COGNITIVE LOSS IN PD. BUT
16 THERE'S PROBABLY TWO COMPONENTS TO IT. ONE HAS TO
17 DO MORE WHERE WE ACTUALLY MIGHT HAVE A SHOT TO HAVE
18 SOME IMPACT WITH THE DOPAMINE NEURONS. IF THERE IS
19 SOME DOPAMINERGIC INNERVATION OF THE FOREBRAIN,
20 FRONTAL CORTEX, THAT MIGHT ACTUALLY BENEFIT FROM THE
21 NEW DOPAMINE NEURONS. AND IT HAS TO DO WITH KIND OF
22 WHAT YOU CALL EXECUTIVE FUNCTION THAT GETS WORSE IN
23 PATIENTS. SO THERE MIGHT BE SOME HOPE THAT CERTAIN
24 SMALL AREA OF SYMPTOMS MIGHT IMPROVE COGNITIVELY.
25 BUT THE MUCH BIGGER PROBLEM IS THIS DIFFUSE LEWY

BETH C. DRAIN, CA CSR NO. 7152

1 BODY DISEASE, BUT THERE'S NO REASON TO BELIEVE THAT
2 DOPAMINE NEURON REPLACEMENT WOULD ADD TO ANYTHING
3 MAJOR ABOUT THAT AND CAN AFFECT MANY NEURON
4 POPULATION.

5 NOW, ONE POPULATION THAT GETS AFFECTED
6 ALSO QUITE EARLY ARE NOT JUST DOPAMINE NEURONS.
7 THEY ARE ACTUALLY FOREBRAIN CHOLINERGIC NEURONS. SO
8 THEY ARE SITTING, AGAIN, IN THE NUCLEUS IN THE BRAIN
9 AS YOU CAN SEE HERE. THAT BASICALLY IS AN AREA THAT
10 PROTECTS VERY, VERY WIDELY WITHIN THE BRAIN TO ALL
11 KIND OF AREAS, THE HIPPOCAMPUS, THE CORTEX, AND SO
12 FORTH. AND THEY ACTUALLY DIE QUITE EARLY OR ARE
13 AFFECTED DYSFUNCTIONAL ALSO QUITE EARLY IN
14 PARKINSON'S DISEASE. AND THERE'S A LONG HISTORY
15 ACTUALLY GOING BACK TO FETAL GRAFT AND SIMILAR TO
16 DOPAMINE NEURON FETAL GRAFTING, WHERE PEOPLE TRIED
17 TO HAVE MODELS TO KIND OF HAVE A DEGENERATION OF
18 THOSE CELLS AND SEE WHERE THEY CAN BE REPLACED USING
19 FETAL ISSUE.

20 I SHOW YOU HERE ONE SUCH STUDY THAT WAS
21 ONE OF THE MAJOR ONES. IT'S SO-CALLED A COGNITIVE
22 TEST, A WATER MAZE TEST WHERE THE MOUSE NEEDS TO
23 KNOW WHERE BASICALLY A SAFE EXIT IS ON THE PLATFORM.
24 AND THE RED ONE IS THE SAFE AREA. YOU CAN SEE
25 NORMAL MOUSE FINDS THIS PLATFORM QUITE ROUTINELY. A

BETH C. DRAIN, CA CSR NO. 7152

1 LESIONED ONE DOESN'T. THE GRAFTED ONE IS NEARLY AS
2 GOOD AS THE NORMAL ONE. AND SO THIS IS GRAFT OF
3 THOSE BASAL FOREBRAIN CHOLINERGIC NEURONS.

4 AND WE HAVEN'T REALLY DONE MUCH ON THAT
5 WORK, BUT I KNOW THE SWEDISH GROUP UNDER S. LUND AND
6 AGNETE KIRKEBY AND OTHERS, THEY ACTUALLY NOW GO BACK
7 AND USE SOME OF THE PROTOCOLS WE AND
8 (UNINTELLIGIBLE) CHUNG DEVELOPED, REFINED THEM
9 FURTHER, AND ACTUALLY TRIED LISTING PRECLINICALLY,
10 SEE CAN WE HAVE A COMBINED APPROACH. COULD YOU HAVE
11 DOPAMINE NEURONS FOR THE CLASSIC MOTOR DISEASE? AND
12 COULD YOU HAVE THOSE FOREBRAIN CHOLINERGIC NEURONS
13 FOR SOME OF THE COGNITIVE SYMPTOMS THAT YOU SEE IN
14 AD? AGAIN, CLEARLY WOULDN'T TREAT ALL THE SYMPTOMS,
15 BUT THAT'S SOMETHING THAT IS CURRENTLY ACTUALLY
16 BEING KIND OF RE-PURSUED WITHIN THE FIELD.

17 NOW, AGAIN, THERE ARE OBVIOUSLY OTHER WAYS
18 THAT CAN BE THOUGHT ABOUT AND OTHER CELL TYPES THAT
19 PEOPLE HAVE THOUGHT ABOUT IS PARTICULARLY CELLS THAT
20 WOULD JUST SIMPLY AFFECT THE DISEASE PROCESS, MAYBE
21 A LITTLE BIT, AGAIN, IN THE CELL PLUS GENE; BUT IN
22 THIS CASE, PROBABLY NOT THE DOPAMINE NEURONS, BUT
23 MAYBE MORE ASTROCYTES OR MICROGLIA THAT COULD
24 PRODUCE ANTIBODIES OR COULD BE ANTI-INFLAMMATORY TO
25 AFFECT THE DISEASE PROCESS.

BETH C. DRAIN, CA CSR NO. 7152

1 NOW, AND EVEN MORE KIND OF OUT THERE AND,
2 AGAIN, NOT SOMETHING WHICH CAN HAPPEN IMMEDIATELY,
3 BUT TECHNICALLY FEASIBLE IS TO TREAT THE
4 GASTROINTESTINAL SYMPTOMS IN PD. SO WE, FOR
5 EXAMPLE, HAVE SHOWN COUPLE OF YEARS AGO THAT IN A
6 VERY SEVERE MODEL IN A MOUSE WHERE A MOUSE ACTUALLY
7 DOESN'T HAVE THE NERVE CELLS OF THE GUT PROPERLY
8 FUNCTIONING, THE SAME NERVE CELLS THAT DON'T
9 PROPERLY FUNCTION IN PARKINSON'S DISEASE BECAUSE
10 THEY'RE AFFECTED BY THE DISEASE, YOU CAN LITERALLY
11 REPLACE THEM.

12 SO THESE RED SPOTS ALONG THE COLON ARE NOW
13 HUMAN CELLS THAT TAKE UP SHOP QUITE QUICKLY IN A
14 MOUSE. AND THIS IS NOW MUCH, MUCH LATER, SOMETHING
15 LIKE, I THINK IT WAS, NINE MONTHS LATER, YOU CAN SEE
16 THIS BEAUTIFUL FIBER THAT'S A NETWORK THAT
17 CORRESPONDS TO THE ENTERIC NERVOUS SYSTEM, BUT IT'S
18 COMPLETELY HUMAN DERIVED IN A MOUSE.

19 WHAT YOU CAN SHOW THEN IS THAT NOW THE
20 GUT, THE COLON ACTUALLY CONTRACTS AND RELEASES. AS
21 YOU KNOW, WHEN YOU PUSH THE FOOD FORWARD, WHICH IS
22 THIS BLUE, ORANGE, RED PERISTALTIC MOVEMENT OVER
23 TIME, THERE'S A TIME AXIS, YOU CAN SEE THAT YOU CAN
24 TRIGGER THESE NICE WAVES OF PERISTALTIC IN COLON
25 COMPLETELY WITHIN THIS HUMAN ENTERIC NERVOUS SYSTEM.

BETH C. DRAIN, CA CSR NO. 7152

1 AGAIN, HERE THE IDEA WOULD BE THAT YOU WOULD FIRST
2 GO TO VERY SEVERE DISEASE, LIKE HIRSCHSPRUNG, SHOWS
3 THAT THIS CAN BE ROUTINELY AND SAFELY DONE, BUT
4 MAYBE THAT IS NO LONGER COMPLETELY CRAZY. NOW THEY
5 USE THESE CELLS IN OTHER DISORDERS MAYBE IN THE
6 CONTEXT OF (CORRUPTED TRANSMISSION) DISEASE, MAYBE
7 IN THE CONTEXT EVEN OF PARKINSON'S DISEASE.

8 SO THEN LAST BUT NOT LEAST, THE QUESTION
9 IS REALLY BEYOND PARKINSON'S. I'M NOT GOING TO
10 SPEND MORE THAN LIKE ONE MINUTE ON THAT BECAUSE THAT
11 WAS NOT THE TOPIC OF TODAY. BUT I MENTIONED SOME OF
12 THOSE CELLS NOW THAT WE COULD PUT IN LIKE GLIAL
13 CELLS, FOR EXAMPLE, THAT COULD HAVE A DISEASE
14 MODIFYING ROLE, THAT'S CLEARLY THE CASE IN
15 PARKINSON'S DISEASE, BUT I THINK WHERE IT'S ALREADY
16 GETTING PURSUED IS IN ALZHEIMER'S DISEASE. THIS IS
17 FROM WORK YOU PROBABLY KNOW, (UNINTELLIGIBLE) BUT
18 YOU CAN ACTUALLY LITERALLY SWAP MICROGLIA. THESE
19 ARE HUMAN MICROGLIA IN THE MOUSE BRAIN. WE ARE
20 DOING SOME OF THOSE STUDIES TOO. AND YOU CAN THEN
21 HAVE A REJUVENATED POPULATION BECAUSE THE MICROGLIA
22 YOU HAVE IN YOUR BRAIN THAT CAME DURING DEVELOPMENT
23 INTO THE BRAIN, THEY HAVE BEEN THERE FOR 50, 60
24 YEARS, WHATEVER YOUR AGE IS. YOU CAN GIVE THEM
25 EASILY A CARGO OR AS WE CALL YOU CAN MAKE THEM

BETH C. DRAIN, CA CSR NO. 7152

1 STEALTHS. YOU CAN GIVE THEM SUPRAPHYSIOLOGICAL
2 FUNCTIONS THAT WOULD MAKE THEM BEING NOT ONLY NOT SO
3 MUCH AFFECTED BY THE DISEASE, BUT HELP THE DISEASE.

4 AND SO I THINK THESE ARE ALL VERY EXCITING
5 AREAS, AND YOU CAN JUST SIMPLY SWITCH THE CARGO AND
6 CONDITIONS AND THEN APPLY THAT BACK, FOR EXAMPLE, TO
7 PARKINSON'S DISEASE WHERE THE MECHANISMS ARE
8 SLIGHTLY DIFFERENT, BUT MICROGLIA AND ASTROCYTES
9 PLAY ALSO A VERY IMPORTANT ROLE AND, AGAIN, COULD BE
10 SIMILARLY SWAPPED, SO TO SAY.

11 ANOTHER AREA THAT'S BEING DONE, THERE IS
12 THE SAME APPROACH THAT I MENTIONED WHICH IS BASAL
13 FOREBRAIN NEURONS OBVIOUSLY YOU COULD TRY. IN AD IT
14 HAS BEEN DONE BEFORE. AND I THINK I MENTIONED THAT
15 THOSE INTERNEURON APPROACHES ARE ACTUALLY ALSO QUITE
16 INTERESTING IN THE CONTEXT OF AD BECAUSE THERE ARE
17 CERTAIN NEUROPHYSIOLOGICAL CHANGES THAT HAPPEN THAT
18 ARE IMPORTANT FOR COGNITION. THERE'S LI-HUEI TSAI
19 AT MIT THAT ACTUALLY TRIES TO PURSUE THAT LESS WITH
20 INTERNEURON, BUT BY STIMULATING THEM, MIMICKING
21 INTERNEURON LIKE TO PHYSIOLOGICALLY.

22 AND THEN, FINALLY, WE ALREADY HEARD FROM
23 NEURONA. THERE WAS REALLY BEAUTIFUL WORK WITH
24 INTERNEURONS IN CALIFORNIA FOR SEIZURES. I THINK
25 THEY'RE ALSO INTERESTED IN PAIN. AND THERE ARE

1 OTHER TYPES OF INTERNEURONS THAT COULD BE
2 INTERESTING FOR SPINAL CORD INJURY.

3 SO THIS IS JUST A KIND OF A LITTLE BIT OF
4 AN EXCURSION BEYOND PD, BUT I THINK, AGAIN, THIS
5 GOES FORTH AND BACK TO SEE WHAT ARE THE RIGHT CELL
6 TYPES THAT CAN BE PURSUED BY CELL THERAPY.

7 LAST BUT NOT LEAST, HERE'S SOME OF THE
8 CONCLUSIONS FOCUSING ON PARKINSON'S. SO, AGAIN,
9 DOPAMINE NEURON REPLACEMENT IS NOW IN THE CLINIC, AT
10 LEAST IN THE EARLY STAGE TRIAL WITH LATER STAGE
11 TRIAL GETTING STARTED, PROMISING EARLY RESULTS.
12 MANY GROUPS ARE PURSUING IT, INCLUDING BIG PHARMA.
13 SO BAYER ACQUIRED BLUE ROCK. NOVO NORDISK IS
14 SPONSORING THE TRIALS IN EUROPE. AND MULTIPLE
15 START-UPS. WE HEARD FROM KENAI AND ASPEN AND SO
16 FORTH IN ADDITION TO SOME ACADEMIC CENTERS.

17 MY POINT IS THAT THERE COULD BE A BIG
18 IMPACT THAT REALLY TRY TO DEVELOP NEURAL APPROACHES,
19 NOT JUST DOING THE EXACT SAME CELLS AT MANY MORE
20 PLACES, BUT SEE WHERE ARE THE BOTTLENECKS THAT I
21 TRIED TO HIGHLIGHT. CELL PLUS GENE IS ONE OF THOSE
22 APPROACHES. BUT, AGAIN, MAYBE WON'T BE VERY BOLD.
23 MAYBE YOU CAN ALSO THINK AND INVEST IN THE AREAS TO
24 ACTUALLY TARGET SOME OF THE NON-DOPAMINE-RELATED
25 SYMPTOMS IN PARKINSON'S, APPROACHES THAT COULD

BETH C. DRAIN, CA CSR NO. 7152

1 BENEFIT NEURODEGENERATION BEYOND PARKINSON'S.

2 AND THEN FINALLY THERE'S JUST SOME OF THE
3 PEOPLE. I'M NOT GOING TO SPEND MUCH TIME, BUT THIS
4 IS SOME OF THE CREW IN MY LAB THAT REALLY DOES THE
5 DOPAMINE WORK CURRENTLY, BUT THEN ALSO IT'S THE
6 WHOLE TEAM NEEDED THAT'S NOW MOVED FROM MY LAB, AT
7 LEAST SOME OF THEM, TO BLUE ROCK THERAPEUTICS AND
8 LEADS THE EFFORTS OVER THERE.

9 I'M GOING TO STOP HERE AND OBVIOUSLY BE
10 HAPPY TO TAKE ANY OF THE QUESTIONS YOU MIGHT HAVE.

11 CHAIRMAN GOLDSTEIN: THANK YOU, LORENZ.
12 THAT'S REALLY TERRIFIC. LET ME LAUNCH THE QUESTIONS
13 BY ASKING A FAIRLY SIMPLE ONE. IS THE BASAL
14 FOREBRAIN ORDINARILY RECEIVING DOPAMINERGIC INPUT
15 FROM THE SUBSTANTIA NIGRA, OR IS ITS FAILURE AN
16 AUTONOMOUS DEFECT RELATIVE TO THE SUBSTANTIA NIGRA,
17 OR IS IT JUST UNCLEAR?

18 DR. STUDER: I THINK IT'S NOT COMPLETELY
19 CLEAR, BUT I WOULD GUESS, PRETTY HEAVILY GUESS THAT
20 IT'S UNRELATED BECAUSE THERE ARE MANY OTHER BRAIN
21 REGIONS THAT ARE AFFECTED. I DIDN'T TALK, FOR
22 EXAMPLE, ABOUT LOCUS COERULEUS. THAT'S ANOTHER
23 POPULATION VERY EARLY AFFECTED, NOT A POPULATION CAN
24 BE GRAFTED, AND CAN BE ACTUALLY GENERATED
25 BY -- CHUN-LI ZHANG JUST PUBLISHED A PAPER ON MAKING

BETH C. DRAIN, CA CSR NO. 7152

1 LOCUS COERULEUS NEURONS. VERY IMPORTANT FOR SOME OF
2 THE SLEEP-RELATED, MOTOR-RELATED SYMPTOMS IN PD, BUT
3 THAT'S EVEN FURTHER BEHIND TRYING TO DEVELOP THAT.
4 BUT I THINK THESE ARE ALL INTERESTING AREAS.

5 CHAIRMAN GOLDSTEIN: YEAH. THAT'S QUITE A
6 LOT OF OVERLAP WITH ALZHEIMER'S DISEASE ACTUALLY
7 BECAUSE THE LOCUS COERULEUS GOES -- IT FAILS EARLY
8 IN AD AS WELL.

9 QUESTIONS FROM THE REST OF THE GROUP?

10 MS. MANDAC: J.T. HAS HIS HAND RAISED.

11 CHAIRMAN GOLDSTEIN: J.T., PLEASE.

12 CHAIRMAN IMBASCIANI: J.T., UNMUTE.

13 DR. THOMAS: SORRY ABOUT THAT. LORENZ,
14 OUTSTANDING PRESENTATION. THANK YOU SO MUCH.
15 REALLY APPRECIATE IT.

16 QUESTION ON IPS WORK THAT'S USED IN
17 CONNECTION WITH HIGH THROUGHPUT SCREENING AGAINST
18 NEURONS IN THE DISH. I KNOW THIS IS SOMETHING YOU
19 WERE WORKING ON A LITTLE WHILE AGO, BUT I'M JUST
20 CURIOUS WHAT THE LATEST IS. SO ONE OF THE ISSUES
21 WHEN YOU OBVIOUSLY REPROGRAM IPS CELLS INTO NEURONS,
22 THEY'RE SORT OF YOUNG NEURONS, IF YOU WILL, IN THE
23 DISH AND HAVEN'T FULLY ADVANCED TO MATURITY WHERE
24 THEY'D HAVE FULL MANIFESTATION OF WHATEVER THE
25 PHENOTYPIC CONDITION IS YOU'RE TRYING TO STUDY,

BETH C. DRAIN, CA CSR NO. 7152

1 WHETHER IT'S PARKINSON'S OR ALZHEIMER'S OR WHATEVER.
2 WHAT'S BEEN DONE TO ACCELERATE THAT
3 PROCESS TO MAKE DRUG SCREENING SORT OF MORE RELEVANT
4 TO LATER STAGE DISEASE?

5 DR. STUDER: I THINK THERE'S A LOT OF
6 EARLY STAGE DEVELOPMENTS IN THOSE AREA. WE
7 ACTUALLY -- IT'S AN AREA THAT WE ARE REALLY
8 INTERESTED IN. AND SO WE JUST PUBLISHED TWO PAPERS
9 ON WHAT WE REFER TO AS IMPROVING THE MATURATION
10 STAGE. THERE WAS ONE PAPER IN *NATURE*, ONE PAPER IN
11 *NATURE BIOTECH* EARLY THIS YEAR. THAT HAS TO DO WITH
12 NEURONAL MATURATION. SO WE CAN ADD COMPOUNDS THAT
13 AFFECT THE CHROMATIN STATE TEMPORARILY. IT LOOKS
14 LIKE THE TIMING IS CONTROLLED BY A CLOCK, THE HUMAN
15 CLOCK, BECAUSE IT TICKS MUCH LOWER THAN THE MOUSE,
16 AND IT HAS TO DO WITH CERTAIN CHROMATIN STATE OF THE
17 CELL. SO WE CAN TEMPORARILY DISRUPT THAT, AND THEN
18 THE CELLS THAT RESULT AFTER THE DISRUPTION ACTUALLY
19 MOVE MUCH FASTER THROUGH THE MATURATION STAGES.

20 NOW, WE HAVEN'T REALLY USED THAT EITHER IN
21 IN VIVO STUDIES OR EVEN FOR DRUG DISCOVERY. THAT'S
22 ACTUALLY SOMETHING WE'RE DOING RIGHT NOW WHERE WE
23 TRY TO SEE CAN WE INTEGRATE THAT. AND SO THAT'S ONE
24 COMPONENT, ACTUALLY TWO COMPONENTS. ONE IS REMOVING
25 WE CALL THE EPIGENETIC BARRIER. AND THE OTHER ONE

1 IS ACTIVITY RELATED. SO YOU CAN ADD FACTORS THAT
2 CONTROL THOSE TWO COMPONENTS TO MAKE THE NEURONS GO
3 FASTER. THEN WE HAVE ALSO NEW STUDY THAT SHOULD
4 COME OUT SOON, IT'S NOT COMPLETELY OUT YET, THAT HAS
5 TO DO WITH THE WAY WHERE WE START WITH ALZHEIMER'S
6 DISEASE, BUT ALSO IN PARKINSON'S WHERE WE SCREENED
7 EVERY SINGLE GENE IN THE HUMAN GENOME THAT CAN
8 SYNERGIZE WITH THE DISEASE SUSCEPTIBILITY TO TRIGGER
9 DISEASE.

10 WE FOUND A PATHWAY WHERE WE CAN JUST WITH
11 A COMPOUND WE CAN STIMULATE THOSE CELLS AND THEY
12 KIND OF IN ABOUT TEN DAYS TRIGGER AGE-LIKE FEATURES,
13 BUT THEY'RE NOT FULLY MATURE. SO IT'S A BIT OF A
14 DIFFERENT THING BETWEEN MATURATION AND AGING, BUT
15 THEY ARE GETTING THESE AGING FEATURES THAT MAKES
16 THEM NOW VULNERABLE TO DISEASE THAT MIMICS WHAT
17 HAPPENS LATER IN LIFE.

18 AGAIN, THOSE ARE JUST AREA OF MATURATION
19 AND AGING IN OUR LAB, AND I'M SURE OTHER PEOPLE DO
20 THAT TOO, TRY TO INTEGRATE TO DO DRUG SCREENING.
21 AND I THINK THAT'S, AGAIN, AN AREA THAT'S VERY
22 INTERESTING.

23 BUT THE OTHER THING IS THAT A LOT OF
24 EFFORTS ARE NOW DONE TO DO THAT NOT JUST IN ONE
25 PATIENT. AS I MENTIONED, FOR PATIENT

BETH C. DRAIN, CA CSR NO. 7152

1 STRATIFICATION, THIS PPMI COHORT, WE AND OTHERS HAVE
2 NOW IPS CELLS FROM THOSE HUNDREDS OF INDIVIDUALS.
3 AND WE CAN GROW IT NOT ONLY ONE BY ONE, BUT WE MAKE
4 POOLS OF THEM. WE CALL THEM A VILLAGE IN A DISH.
5 THEY HAVE A WHOLE VILLAGE OF, LET'S SAY, HUNDRED TO
6 200 PATIENTS, AND YOU GIVE THEM A DRUG. YOU DO THE
7 AGING, WHATEVER YOU DO, AND THEN THEY SHOULD HAVE
8 THE DISEASE MANIFESTATION. YOU CAN NOW LITERALLY
9 SEE NOW WHICH OF THE PATIENTS RESPOND TO
10 INTERVENTION.

11 IN THE PAST THAT WAS VERY, VERY DIFFICULT
12 BECAUSE, AS YOU KNOW, GROWING HUNDRED, 200 CELLS IN
13 EACH WELL IN A SYNCHRONIZED MANNER IS VERY DIFFICULT
14 FOR SOME OF THOSE CELL TYPES. BUT SO THIS VILLAGE
15 IN A DISH, I THINK, IS NOW THE RECENT DEVELOPMENT, I
16 THINK THAT'S GOING TO CHANGE HOW PEOPLE ARE GOING TO
17 DO SOME OF THOSE DISEASE MODELING STUDIES. SO THERE
18 ARE A LOT OF DEVELOPMENT, I THINK, ON THIS AREA AS
19 WELL FOR DRUG DISCOVERY THAT WE ARE VERY EXCITED.
20 AND, AGAIN, FOR FULL DISCLOSURE, WE STARTED ANOTHER
21 COMPANY WHICH IS CALLED DACAPO BRAINSCIENCE, WHICH
22 IS NOW JUST GETTING INTO THIS AREA THAT USES SOME OF
23 THOSE TOOLS TOGETHER WITH MACHINE LEARNING TOOLS.
24 FROM THE PATIENT DATA, WE TRY TO INTEGRATE AND KNOW
25 HOW DO YOU PREDICT PROGRESSION? HOW DO YOU RESPOND

BETH C. DRAIN, CA CSR NO. 7152

1 TO GENETIC PERTURBATION? HOW DO YOU RESPOND TO
2 DRUGS?

3 SO IT'S AN AREA I'M VERY EXCITED ABOUT
4 ACTUALLY, WHICH IS ALWAYS INDEPENDENT OF CELL
5 THERAPY, BUT IS ACTUALLY DRUG DISCOVERY.

6 DR. THOMAS: THANK YOU. VERY EXCITING.

7 CHAIRMAN GOLDSTEIN: YEAH. A LOT OF GREAT
8 WORK GOING ON, LORENZ.

9 OTHER QUESTIONS FROM THE TASK FORCE?
10 OKAY. SO HAS JEFF ROTHSTEIN JOINED US?

11 MS. MANDAC: YES.

12 DR. ROTHSTEIN: YES, I'M HERE.

13 CHAIRMAN GOLDSTEIN: ALL RIGHT. SO, JEFF,
14 YOU'VE GOT A TOUGH ACT TO FOLLOW HERE, BUT I'M SURE
15 YOU'LL BE MORE THAN CAPABLE OF IT.

16
17
18
19
20
21
22
23
24
25

BETH C. DRAIN, CA CSR NO. 7152

1 JEFF IS AN M.D./PH.D. HE'S MOVED AROUND
2 TO A LOT OF PLACES, BUT HE'S REALLY SPENT THE BULK
3 OF HIS CAREER AT HOPKINS WHERE HE HAS LED THE
4 DEPARTMENT OF NEUROSCIENCES FOR PART OF HIS TIME
5 THERE, AND PERHAPS MOST IMPORTANT HE DEVELOPED THE
6 PACKARD ALS CENTER, WHICH I HAD SOME ASSOCIATION
7 WITH SOME YEARS AGO, AND IT IS A TRULY POWERFUL
8 DISCOVERY ENGINE IN THE AREA OF ALS. AND SO JEFF
9 WILL GIVE US SOME UNDERSTANDING OF WHAT'S CURRENT IN
10 ALS AND PERHAPS WHERE TO GO NEXT WITH RESEARCH.

11 SO, JEFF, YOU'RE UP.

12 DR. ROTHSTEIN: GREAT. THANKS, LARRY.
13 NICE TO SPEND SOME TIME WITH YOU. I ACTUALLY WAS
14 INVOLVED WITH CIRM WHEN YOU FIRST STARTED MANY YEARS
15 AGO AS AN EXTERNAL REVIEWER.

16 I'M GOING TO TRY MOVE FAST. I KNOW THIS
17 IS A MIXED GROUP. ONE OTHER BIT OF BACKGROUND, I'M
18 BOTH A NEUROLOGIST. I FOUNDED THE ALS CLINIC AT
19 HOPKINS. SO I'VE RUN MOST, IF NOT ALL, OF THE ALS
20 CLINICAL TRIALS OVER THE YEARS, BUT I RUN A BASIC
21 SCIENCE LAB WORKING ON BASIC CELL BIOLOGY UNDERLYING
22 ALS, OF COURSE, WITH THE HOPES OF FINDING DRUGS.

23 WHAT I'M NOT GOING TO TELL YOU ABOUT IS
24 CELL THERAPY. AND I'M FROM THE EAST COAST, AND I'M
25 NOTHING BUT BLUNT. SO CELL THERAPY FOR ALS IS A

1 BUNCH OF BULLSHIT. IT'S A WASTE OF TIME. THE REAL
2 VALUE IN CELLS IN ALS, WHICH IS LARGELY A SPORADIC
3 DISEASE, NOT UNLIKE PARKINSON'S. AND, OF COURSE,
4 YOU JUST HEARD THE ELEGANT WORK BY LORENZ. AND
5 ALZHEIMER'S IS TRYING TO FIND APPROACHES TO SPORADIC
6 ALS, AND THERE IS NO ANIMAL MODEL FOR SPORADIC ALS.
7 AND SO THIS IS WHERE IPS CELLS BECOME A REAL
8 PLATFORM.

9 SO I'M GOING TO FIRST VERY QUICKLY TELL
10 YOU ABOUT A PATHWAY, AND BURIED IN THAT WILL BE HOW
11 YOU CAN USE IPS CELLS AS A PLATFORM TO UNDERSTAND
12 THE PATHOGENESIS CASCADE THROUGH THE DISEASE AS WELL
13 AS THERAPIES AND WHERE WE'RE GOING IN THE FUTURE.

14 SO THE START OF THIS IS VERY BASIC, AND
15 IT'S ABOUT A NEW PATHWAY THAT UNDERLIES ALMOST ALL
16 OF SPORADIC ALS. REAL QUICK BACKGROUND BECAUSE I
17 DON'T KNOW THE BACKGROUND OF YOU. ALS LIKE
18 ALZHEIMER'S AND PARKINSON'S, THIS IS A LARGELY A
19 SPORADIC DISEASE. THIS DISEASE LARGELY AFFECTS YOUR
20 VOLUNTARY MOTOR SYSTEM. IT'S DUE TO THE LOSS OF
21 CORTICAL MOTOR NEURONS, WHICH INTERACT WITH SPINAL
22 MOTOR NEURONS AND CONTROL THE CONTRACTION OF
23 MUSCLES, BOTH VOLUNTARY MUSCLES, SUCH AS, OF COURSE,
24 YOUR ARMS AND YOUR LEGS, BUT ALSO YOUR DIAPHRAGM AND
25 THE FIRST PART OF YOUR SWALLOWING MUSCLES. AS SUCH,

BETH C. DRAIN, CA CSR NO. 7152

1 ALS IS A UNIFORMLY FATAL DISEASE. THERE ARE NO
2 ESCAPEES FROM THIS DISEASE.

3 AND TYPICALLY IT PROGRESSES OVER TWO TO
4 THREE YEARS; BUT LIKE ALL MEDICAL DISEASES, THERE
5 ARE EXCEPTIONS. I'VE SEEN WELL OVER 10,000
6 PATIENTS, AND MY FASTEST PATIENT HAS BEEN SOMETHING
7 ON THE ORDER OF THREE MONTHS FROM ONSET. NOW THERE
8 ARE THOSE THAT ARE 20 AND 30 YEARS. SO HIGHLY
9 VARIABLE. WHY THAT'S VARIABLE IS A DIFFERENT ISSUE,
10 BUT IT'S ALWAYS FATAL.

11 WE USED TO THINK OF ALS AS ONLY A MOTOR
12 SYSTEM DISEASE. IT IS NOT. 50 PERCENT OF PATIENTS
13 CAN DEVELOP A MILD DEMENTIA, AND A SMALL PERCENTAGE,
14 ABOUT 10 PERCENT, OVERLAP WITH HAVING A FRONTAL
15 TEMPORAL DEMENTIA AS WELL AS ALS. AND WE NOW KNOW
16 SOME OF THE GENES THAT CAUSE FRONTAL TEMPORAL
17 DEMENTIA ARE THE SAME GENES THAT CAUSE ALS.
18 ALTHOUGH WE THINK OF IT AS A MOTOR SYSTEM DISEASE,
19 IN THE EARLY DAYS OF EVEN THE USE OF STEM CELLS
20 FOCUSED ON MOTOR NEURONS, THAT MISSES THE BOAT.
21 THERE ARE MANY OTHER CELL TYPES THAT DEGENERATE IN
22 ALS THAT CONTRIBUTE TO THE CLINICAL SYNDROME OF THE
23 DISEASE.

24 THE FIRST GENE DISCOVERED WAS SOD1, AN
25 ANTIOXIDANT GENE DISCOVERED IN THE EARLY '90S BY BOB

BETH C. DRAIN, CA CSR NO. 7152

1 BROWN AND TEEPU SIDDIQUE. AND THAT LED TO THE FIRST
2 MOUSE MODELS IN ANY NEURODEGENERATIVE DISEASE,
3 WHAT'S KNOWN AS THE SOD1 MOUSE. IT'S USED FOR MORE
4 THAN TWO DECADES TO GRADE MOUSE IN THAT IT LOOKS
5 LIKE ALS, AND IT'S A TERRIBLE MOUSE FOR DETERMINING
6 WHAT DRUGS WORK IN PATIENTS. IN FACT, NO MODEL TO
7 DATE HAS BEEN AN EXACT PREDICTOR OF WHAT WORKS IN
8 PATIENTS OTHER THAN THE SOD1 MOUSE BECAUSE TURNING
9 OFF THAT GENE, WHICH IS A THERAPY THAT WAS JUST
10 APPROVED BY THE FDA, SHOWS REMARKABLE EFFICACY IN
11 HUMANS. SO KNOW THE MUTATION, YOU CAN HAVE A GREAT
12 OUTCOME. AND WHEN TIME PERMITS, I'LL TALK ABOUT
13 OTHER MUTATIONS.

14 A REAL CHANGE IN THE DISEASE CAME WITH THE
15 DISCOVERY OF A GENE CALLED C9ORF-72, LONG NAME, A
16 GENE WHOSE PROTEIN WE DON'T ENTIRELY KNOW WHAT IT
17 DOES, BUT IT'S AN UNUSUAL MUTATION BECAUSE IT'S IN
18 THE FIRST INTRON. AND THAT CAUSES A VARIETY OF
19 OTHER PROBLEMS, INCLUDING ABERRANT RNA SPECIES AS
20 WELL AS ABERRANT POLYPEPTIDES THAT ARE MADE FROM
21 THAT GENE. THAT GENE IS ACTUALLY VERY COMMON. UP
22 TO 20 TO 40 THE PERCENT OF ALS PATIENTS THAT
23 INHERITED ALS HAVE THAT GENE MUTATION. AND UP TO 10
24 TO 20 PERCENT OF SPORADIC PATIENTS WITH NO FAMILY
25 HISTORY HAVE THAT MUTATION AS WELL. AND IT'S

1 EQUALLY COMMON IN FRONTAL TEMPORAL DEMENTIA.

2 IT'S THE MOST COMMON DISEASE CAUSING GENE
3 IN FTD AS WELL. YOU CAN HAVE A FAMILY WITH A SISTER
4 WITH FTD WITH THE GENE MUTATION AND A BROTHER WITH
5 ALS. REALLY A PHENOMENAL FOCUS OF RESEARCH IN THE
6 LAST DECADE OR SO. NEVERTHELESS, MOST OF THE
7 DISEASE IS SPORADIC, AND THAT BECOMES AN IMPORTANT
8 POINT WHEN WE'RE THINKING ABOUT HOW DO WE UNDERSTAND
9 WHAT'S THE MOST EFFECTIVE THERAPY FOR THE DISEASE.

10 OVER THE YEARS USING MOUSE MODELS, AND IN
11 FACT FROM THE MID-1990S WHEN THE MOUSE MODELS WERE
12 FIRST DEVELOPED HEAVILY HERE AT HOPKINS, WE, THE
13 COMMUNITY, IT'S A ROYAL WE, USE THOSE MICE TO TRY TO
14 UNDERSTAND PATHWAYS. BECAUSE UNDERSTANDING THE
15 PATHWAYS, AS SHOWN IN THIS SLIDE FROM A COUPLE YEARS
16 AGO FROM COLLEAGUES IN EUROPE, ARE THE WAYS YOU FIND
17 DRUGS FOR THE DISEASE. AND NO MATTER WHAT YOU WANT
18 TO STUDY, ULTIMATELY IT'S KNOWING THE DISEASE
19 CAUSING PATHWAYS. AND EACH OF THESE PATHWAYS HAVE
20 BEEN DESCRIBED IN MICE.

21 NOW, THE UNFORTUNATE PART OF THIS, AND I'M
22 GOING TO COME BACK TO THIS IN JUST A FEW MINUTES, IS
23 THAT MOUSE MODELS ARE GREAT. THEY LOOK LIKE THE
24 DISEASE, BUT THEY MAY NOT RECAPITULATE WHAT REALLY
25 HAPPENS IN HUMAN. AND QUITE HONESTLY, THE ONLY

BETH C. DRAIN, CA CSR NO. 7152

1 THING THAT COUNTS -- I'M NOT INTERESTED IN CURING A
2 MOUSE OR ANY OTHER ORGANS IN A FLY, A FISH. I NEED
3 TO KNOW THIS IS A PLATFORM FOR FINDING THERAPIES IN
4 HUMAN.

5 AND THE REASON I POINT THAT OUT IS BECAUSE
6 OVER THE YEARS THE MOUSE MODEL WHICH WAS DISTRIBUTED
7 WORLDWIDE, GREAT TOOL, WAS NOT ALWAYS MATCHED WITH
8 HUMAN STUDIES TO PROVE THAT THE PATHWAY OF
9 DISCOVERY, WHEREVER IT MIGHT BE, WAS ALSO PRESENT IN
10 HUMANS. AND IF IT'S NOT PRESENT IN HUMANS, QUITE
11 FRANKLY, WHO GIVES A SHIT? IT ONLY COUNTS WHEN IT'S
12 PRESENT IN HUMANS.

13 MANY OF THESE HAVE BEEN ULTIMATELY
14 DESCRIBED IN HUMANS. ACTUALLY STARTED WITH WORK IN
15 MY OWN LAB STUDYING ASTROCYTES AND EXCITOTOXICITY,
16 AND THAT ACTUALLY LED TO THE FIRST FDA-APPROVED DRUG
17 FOR ALS. WE'VE ONLY HAD ABOUT TWO OTHER DRUGS
18 APPROVED, AND NONE OF THEM WORK ANY BETTER THAN THAT
19 FIRST DRUG UNFORTUNATELY.

20 I'M JUST GOING TO SKIP THROUGH THIS FOR
21 THE SAKE OF TIME.

22 WHAT HAPPENED WAS ABOUT A DECADE AGO, MORE
23 THAN A DECADE AGO, ONCE C9 WAS DISCOVERED, WE WERE
24 FORTUNATE THAT THE NIH SPONSORED EFFORTS TO DEVELOP
25 IPS CELLS FOR ALS, HUNTINGTON'S DISEASE, AND

BETH C. DRAIN, CA CSR NO. 7152

1 PARKINSON'S DISEASE. AND I RAN THE ALS PROGRAM
2 ALONG WITH -- THEN I BROUGHT IN COLLABORATORS, KEVIN
3 EGGAN AND CHRIS HENDERSON, THEN STILL IN ACADEMICS,
4 NO LONGER UNFORTUNATELY, BUT THEY'RE STILL GREAT
5 SCIENTISTS. AND FROM THOSE IPS CELLS, WE BEGAN TO
6 HAVE A SENSE OF WHAT'S REALLY GOING ON IN HUMAN
7 TISSUES, EVEN THOUGH IT'S NOT A COMPLETE SYSTEM, AND
8 SOME DISEASES CLEARLY YOU CAN'T JUST USE SIMPLE 2D
9 IPS CELLS. BUT IF YOU'RE TRYING TO UNDERSTAND THE
10 CELL BIOLOGICAL PATHWAY, YOU CAN USE THAT SYSTEM.

11 AND IN THOSE DAYS A MOUSE, IT TOOK YEARS
12 BEFORE A MOUSE COULD EVER BE BUILT AROUND THIS
13 MUTATION. AND WE BEGAN TO DISCOVER THAT FUNDAMENTAL
14 TO SOME OF THESE MUTATIONS, ESPECIALLY ALS, WAS
15 INVOLVEMENT UNDER THE NUCLEAR PORE, WHICH I'M GOING
16 TO TALK ABOUT IN A MINUTE.

17 SO THE NUCLEAR -- EVERY NUCLEUS HAS
18 NUCLEAR PORES. THINK OF IT AS A TENNIS BALL WITHIN
19 A TENNIS BALL. AND THEY HAVE ABOUT 2,000 TO 3,000
20 NUCLEAR PORES. THIS ALLOWS THE MOVEMENT OF
21 MOLECULES IN AND OUTSIDE THE NUCLEUS. REMEMBER
22 WITHIN THE NUCLEUS IS YOUR DNA, WHICH EVENTUALLY
23 CODES FOR RNA, WHICH EVENTUALLY LEADS TO THE
24 PROTEINS THAT ARE MADE IN EVERY CELL. AND OUR
25 BODY'S BIOLOGY IS ULTIMATELY ABOUT PROTEINS. THAT

BETH C. DRAIN, CA CSR NO. 7152

1 ENTIRE PROCESS OF MOVING IN AND OUT IS CONTROLLED BY
2 THE NUCLEAR PORE. IT'S ONE OF THE LARGEST COMPLEXES
3 IN THE HUMAN BODY. THAT NUCLEAR PORE OR COMPLEX IS
4 A THOUSAND PROTEIN MOLECULES ASSEMBLED IN SORT OF
5 LIKE A DONUT. THIS IS ACTUALLY A SCHEMA OF WHAT
6 THAT LOOKS LIKE.

7 THIS SCHEMA OF THE HUMAN NUCLEAR PORE
8 STRUCTURE WAS ACTUALLY PUT TOGETHER BY SOMEONE IN
9 YOUR STATE, ANDRE HOELZ AT CALTECH, A COLLABORATOR
10 OF OURS, AND HE COULD DETERMINE THE ACTUAL ASSEMBLY
11 OF ALL OF THESE PROTEINS THAT MAKE UP DIFFERENT
12 DOMAINS OF THIS NUCLEAR PORE.

13 THE NUCLEAR PORE NOT ONLY REGULATES WHAT
14 MOVES IN AND OUT OF THE NUCLEUS RNA'S AND PROTEINS,
15 BUT IT INTERACTS DIRECTLY WITH THE DNA AS WELL TO
16 REGULATE GENE ACTIVATION. SO IT'S A REALLY
17 IMPORTANT STRUCTURE IN THE CELLS.

18 AND WE LEARNED EARLY ON, NOW ALMOST ABOUT
19 EIGHT YEARS AGO, THAT THERE WERE DEFECTS IN THE
20 NUCLEAR PORE. I'M NOT GOING TO WORK YOU THROUGH ALL
21 OF THOSE EXPERIMENTS, BUT THE NUCLEAR PORE STRUCTURE
22 IS BOUND TO THE NUCLEAR MEMBRANE. SO THINK OF THESE
23 INDIVIDUAL LITTLE DONUTS ON A SURFACE OF A TENNIS
24 BALL HAS TO BE BOUND TO THE TENNIS BALL, AND THOSE
25 ARE WHAT ARE KNOWN AS TRANSMEMBRANE NUCLEAR PORE

BETH C. DRAIN, CA CSR NO. 7152

1 PROTEINS. AND WE LEARNED THAT A NUMBER OF THESE
2 NUCLEAR PORES WERE MISSING IN SPORADIC ALS AS WELL
3 AS C9 ALS. AND I'LL TELL YOU MORE ABOUT THAT IN
4 JUST A SECOND.

5 AND IT ALL BEGAN WITH THE LOSS OF ONE THAT
6 LED TO A CASCADE, KIND OF LIKE A DOMINO EFFECT, OF
7 OTHERS THAT BEGAN WITH ONE PROTEIN KNOWN AS POM121.
8 WHEN IT'S LOST FROM THE NUCLEAR PORE, THERE'S A
9 SERIES OF OTHER NUCLEOPORINS THAT ARE LOST AS WELL.
10 THAT EVENTUALLY AFFECTS THE TRANSPORT IN AND OUT OF
11 CELLS AND MAKES THOSE NEURONS, ESPECIALLY MOTOR
12 NEURONS, MUCH MORE SUSCEPTIBLE TO DEATH.

13 THIS IS AN IMPORTANT CASCADE BECAUSE,
14 SEPARATE FROM THE STUDIES WE WERE DOING, OTHERS IN
15 THE FIELD WAY BACK IN 2005 HAD DETERMINED THAT FIRST
16 IN ALS, AND I'LL COME BACK TO OTHER DISEASES IN A
17 MINUTE, THERE'S A NUCLEAR PROTEIN KNOWN AS TDP-43.
18 FIRST WE DIDN'T KNOW MUCH ABOUT WHAT IT DOES.
19 AGAIN, WHEN I SAY WE, IT'S THE ROYAL WE. BUT WE
20 LEARNED THAT IN DISEASES LIKE ALS AND LATER FRONTAL
21 TEMPORAL DEMENTIA AND EVEN HALF OF ALZHEIMER'S
22 DISEASE, THIS PROTEIN IS CLEARED FROM THE NUCLEUS OR
23 PARTIALLY CLEARED. IT BECOMES VERY CYTOPLASMIC AND
24 IT EVENTUALLY AGGREGATES. AND WHEN IT'S NO LONGER
25 IN THE NUCLEUS, WE'VE LEARNED THAT ITS FUNCTION TO

BETH C. DRAIN, CA CSR NO. 7152

1 REGULATE MANY, MANY, HUNDREDS, IF NOT THOUSANDS, OF
2 RNA'S ARE LOST. AND IF YOU ARTIFICIALLY TURN OFF
3 THAT PROTEIN, THIS WAS DONE BY ANOTHER MEMBER OF
4 YOUR STATE, DON CLEVELAND AND COLLABORATORS AS WELL
5 AS KEVIN EGGAN INDEPENDENTLY, A WHOLE SERIES OF
6 GENES ARE MISHANDLED. IN FACT, TODAY INDIVIDUAL
7 BIOTECH COMPANIES ARE TARGETING SINGLE GENES TO
8 REPLACE THEM. BUT THE REALITY IS ALL OF THESE GENES
9 ARE MISHANDLED BECAUSE OF THIS PROTEIN NOT BEING IN
10 THE NUCLEUS.

11 OF COURSE, A QUESTION WOULD BE WHY IS IT
12 NOT IN THE NUCLEUS? IT'S NOT IN THE NUCLEUS BECAUSE
13 OF NUCLEAR PORE DEFECTS. AND WE DISCOVERED THAT NOW
14 ENTIRELY BASED, STARTING FROM ABOUT 2013, USING IPS
15 CELLS, CAN'T STUDY THIS IN MOUSE, NO MOUSE DEVELOPS
16 THIS DEFECT. THIS IS A COMMON DEFECT OF SPORADIC
17 ALS. NO RODENT MODEL SHOWS THAT. BUT HUMAN IPS
18 CELLS -- AND I'LL GET TO THE QUESTION THAT JON ASKED
19 EARLIER AND LORENZ IS TALKING ABOUT MATURATION IN
20 JUST A MOMENT.

21 TURNS OUT WHEN YOU HAVE THESE CELLS, AND
22 WE'RE LOOKING HERE AT IPS CELLS THAT ARE VERY YOUNG.
23 SORRY. I SAID THAT WRONG. THESE ARE IPS CELLS
24 TURNED INTO EARLY MOTOR NEURONS ONLY ABOUT A WEEK OR
25 TWO OF AGE. THESE LITTLE GREEN DOTS ARE THE NUCLEAR

BETH C. DRAIN, CA CSR NO. 7152

1 PORES ON THE SURFACE OF THE NUCLEUS. AND WHAT
2 HAPPENS IS THERE'S A PROTEIN CALLED CHMP7, WHICH
3 REGULATES SORT OF THE STRUCTURE OF NUCLEAR PORES,
4 CLEANS THEM OUT, PUTS THEM BACK IN.

5 AND WE LEARNED EARLY ON WELL BEFORE
6 THERE'S ANY DISEASE IN THESE IPS CELLS THAT THIS
7 CHMP7 IS RELOCALIZED TO THE NUCLEUS. SHOULDN'T BE
8 THERE. SHOULD LOOK LIKE CONTROLS. YOU WAIT A
9 COUPLE WEEKS LONGER, AND THE NUCLEAR PORE MAY LOOK
10 LIKE THE SAME GREEN DOT -- IT'S NOT. THESE ARE
11 NUCLEAR PORES -- ARE THEN MISSING IN ALS. THIS IS
12 ONE OF EIGHT THAT ARE MISSING.

13 AND THEN WE WAIT LONGER, AND THEN THERE'S
14 A DEFECT IN NUCLEAR TRANSPORT, THE FUNCTION OF THE
15 NUCLEAR PORE. AND THEN WE WAIT EVEN LONGER AND NOW
16 THAT WHOLE TDP-43 PROCESS IS COMPLETELY ABNORMAL.

17 SO THE POINT OF THIS IS IF THIS IS A MOUSE
18 EXPERIMENT, THIS MIGHT BE A MOUSE AT 30 DAYS OF AGE,
19 60 DAYS OF AGE, A YOUNG ADULT, AND FINALLY A MORE
20 SENIOR ADULT THAT DEVELOPS THE DISEASE PHENOTYPE.

21 SO GETTING BACK TO WHAT YOU WERE TALKING
22 ABOUT EARLIER WITH LORENZ, WE ACTUALLY DO HAVE A
23 PATHOGENIC CASCADE. I DON'T CARE IF THESE ARE YOUNG
24 CELLS. THEY'RE MATCHING, AS YOU WILL SEE IN A
25 MOMENT, THE PATHOGENIC CASCADE THAT OCCURS IN

BETH C. DRAIN, CA CSR NO. 7152

1 HUMANS. THIS IS NOT ABOUT SYNAPTIC BIOLOGY. IN ALS
2 IT'S NOT ABOUT SYNAPTIC BIOLOGY. IT'S MORE ABOUT
3 THE CELL BIOLOGY OF THE CELL AND CAN WE SEE THAT
4 PHENOTYPE. SO ALTHOUGH PEOPLE BANTER AROUND ABOUT
5 EPIGENETIC MODIFICATIONS AND STUFF, IT'S ALL
6 BULLSHIT WHEN IT COMES TO THIS. WE SEE THE CASCADE
7 THAT'S PRESENT IN PATIENTS IN THE CELLS AS THEY
8 MATURE. AS YOU WILL SEE, THIS IS VERY RELEVANT TO
9 OUR PATIENTS AS WELL.

10 WHY DOES THIS OCCUR IS A BIG QUESTION. SO
11 LET ME GO BACK A STEP AND TELL YOU A PROGRAM THAT
12 WAS ESSENTIAL FOR ALL OF THIS. IF YOU'RE LOOKING AT
13 A SPORADIC DISEASE, YOU CAN'T LOOK AT ONE OR TWO IPS
14 LINES. IT'S NONSENSE. IT'S ME IN THE CLINIC
15 LOOKING AT ONE OR TWO PATIENTS. I CAN MAKE NO
16 CONCLUSIONS FROM LOOKING AT ONE OR TWO PATIENTS. I
17 NEED HUNDREDS OF PATIENTS, CERTAINLY DOZENS. AND
18 WHEN WE DESIGNED -- WHEN I DESIGNED THE PROGRAM BACK
19 IN 2013 CALLED ANSWER ALS, THE IDEA THERE WAS LET'S
20 BUILD IPS LINES FROM NOT ONE OR TWO OR THREE
21 PATIENTS, BECAUSE THIS IS A SPORADIC DISEASE, FROM A
22 THOUSAND PATIENTS. AND ANSWER ALS WAS A PROGRAM
23 THAT WE ACTUALLY STARTED ENROLLING IN 2016, FINISHED
24 ENROLLING BY ABOUT 2021. AND IT'S A BIG CONSORTIUM
25 AND HEAVILY INVOLVES ACTUALLY MEMBERS OF YOUR --

BETH C. DRAIN, CA CSR NO. 7152

1 ACADEMIC MEMBERS IN YOUR STATE.

2 WE ENROLLED AT EIGHT CLINICS AROUND THE
3 COUNTRY. THE CLINICS ARE SHOWN HERE. OBVIOUSLY A
4 LITTLE HEAVY ON THE EAST COAST. AND WE REALLY
5 ENROLLED IN CLINICS THAT WE KNEW WE COULD ENROLL
6 FAST. WE ENROLLED A THOUSAND PATIENTS IN A LITTLE
7 OVER A YEAR.

8 EVERY PATIENT HAD WHOLE GENOME SEQUENCING.
9 IN EVERY PATIENT WE MADE IPS CELLS FROM THEIR BLOOD.
10 THAT WAS DONE WITH CLIVE SVENDSEN AT CEDARS-SINAI.
11 AND IN DOING A THOUSAND, WE UNIFORMLY CREATED THE
12 SAME LINES WITH RIGOROUS QUALITY CONTROL BUILT INTO
13 THAT, REPRODUCIBILITY BUILT INTO THAT, AND EACH OF
14 THOSE LINES WERE THEN DIFFERENTIATED TO EARLY STAGE
15 MOTOR NEURONS OR SPINAL NEURONS, NOT JUST PURE MOTOR
16 NEURONS. AND BY THE WAY, THAT'S IMPORTANT. SOME
17 PEOPLE WANT TO LOOK AT A SINGLE CELL TYPE. ALS IS
18 NOT ABOUT JUST MOTOR NEURONS. I ALREADY TOLD YOU IT
19 INVOLVES OTHER CELLS AS WELL AS GLIAL CELLS. WE
20 WANTED SORT OF A SAMPLE EQUIVALENT OF A BIOPSY OF
21 THE SPINAL CORD.

22 SO WE DID SPINAL DIFFERENTIATIONS. AND
23 EACH OF THOSE BATCHES OF DIFFERENTIATIONS FROM EACH
24 CELL THEN UNDERWENT PROTEOME, EPIGENOME, AND RNA
25 SEQ.

BETH C. DRAIN, CA CSR NO. 7152

1 IN AGGREGATE, ALONG WITH LONGITUDINAL
2 CLINICAL DATA FROM EVERY SINGLE PATIENT USING AN
3 IPHONE APP -- IBM WATSON WAS A PARTNER IN THIS --
4 AND IT AMOUNTED TO ABOUT -- AMOUNTS TO ABOUT 6
5 BILLION DATA POINTS PER PATIENT. WE'LL GET TO THAT
6 LATER, BUT WHAT IT ALSO MEANT IS THAT WE HAVE AN
7 ENORMOUS BANK OF WELL-DIFFERENTIATED OR
8 WELL-DESCRIBED IPS LINES WITH FULL CLINICAL DATA
9 THAT WE CAN PICK AMONG TO DO OUR ANALYSIS. AND THE
10 HOPES WERE WOULD WE FIND SUBGROUPS OF THIS SPORADIC
11 DISEASE BECAUSE PATIENTS, I GOT TO TELL YOU, ARE ALL
12 OVER THE PLACE. I ALREADY TOLD YOU AT THE BEGINNING
13 THREE MONTHS SURVIVAL, 30-YEAR SURVIVAL. WHAT ARE
14 THESE DIFFERENCES BECAUSE IN CLINICAL TRIALS WE LUMP
15 EVERYONE TOGETHER. AND AS YOU WILL SEE LATER IN THE
16 TALK, WE OBVIOUSLY FAIL AT OUR CLINICAL TRIALS, BUT
17 UNDERSTANDING THE MOLECULAR PATHWAYS, AND IPS CELLS
18 HAS THAT POTENTIAL, IN FACT, IT DOES SHOW THAT
19 POTENTIAL, WE CAN POTENTIALLY TARGET THE RIGHT DRUGS
20 TO THE RIGHT PATIENT.

21 SO HERE'S A REAL-WORLD EXAMPLE. I'VE JUST
22 TOLD YOU ABOUT THESE PATHWAYS. TDP-43 AND CHMP7,
23 WHICH IS AN EARLY INJURY TO THE NUCLEAR PORE, THIS
24 IS A REALLY BUSY SLIDE, BUT THERE'S A TON OF DATA.
25 WHAT YOU'RE SEEING HERE IS SOMETHING WE COMPLETED

BETH C. DRAIN, CA CSR NO. 7152

1 RECENTLY. THIS IS 200 PATIENTS, INDIVIDUAL IPS
2 LINES, GREAT REPRODUCIBILITY IN PRODUCING AND WE
3 WAIT -- IT'S NOT SHOWING HERE. WE CAN LOOK AT
4 DIFFERENT TIME POINTS, 30 DAYS, 45 DAYS, 60 DAYS.
5 AND WHEN WE DO THAT, WE ACTUALLY SEE THE DEVELOPMENT
6 OF WHAT YOU'RE SEEING HERE. THIS IS KNOWN AS A HEAT
7 MAP FOR THOSE NOT FAMILIAR. AND THE DARKER THE
8 COLOR THE MORE DYSFUNCTIONAL THAT PATHWAY IS. YOU
9 CAN OBVIOUSLY SEE THERE ARE NOT A LOT OF CHANGES
10 HERE BECAUSE THESE 30-ODD CONTROL PATIENTS, YOU AND
11 ME, IF YOU WILL, YEAH, THERE'S NO ABNORMALITIES.

12 AND BY THE WAY, WHEN I SAY ABNORMALITIES,
13 YOU PROBABLY WOULD HAVE A HARD TIME SEEING THAT, BUT
14 THIS FIRST COLUMN IS A DEFECT IN THE PATHWAY THAT'S
15 INJURING THE NUCLEAR PORE COMPLEX. ALL OF THE C9,
16 FTD/ALS, SPORADIC ALS ALL HAVE JUST -- ALMOST ALL OF
17 THEM HAVE ABNORMALITIES OR AT LEAST 85 PERCENT IN
18 CHMP7, THEY ALL HAVE ABNORMALITIES IN THE NUCLEAR
19 PORE. AND MOST OF REST OF THESE ARE THOSE TDP-43
20 PRODUCTS. REMEMBER I TOLD YOU THERE'S ONE OR TWO OR
21 A HUNDRED DIFFERENT, IF NOT A THOUSAND. HERE WE'RE
22 PICKING 18 DIFFERENT ONES.

23 AND VERY QUICKLY, YOU CAN SEE THAT, GEEZ,
24 THERE'S A BUNCH OF RED HERE. THAT'S BECAUSE C9
25 PATIENTS, THEY HAVE A DEFECT IN THIS PATHWAY THAT

BETH C. DRAIN, CA CSR NO. 7152

1 THE REMAINING PATIENTS AREN'T AS DEFECTIVE IN. EVEN
2 THOUGH IT'S TDP-43, SOMETHING IS DIFFERENT ABOUT
3 THEM. ALL OF THE ALS PATIENTS IN AGGREGATE HAVE
4 TDP-43 DEFECTS, BUT IT'S VARIABLE. SO RIGHT NOW,
5 FOR EXAMPLE, BIOTECH COMPANIES ARE FOCUSING ON ONE
6 OF THEM CALLED STATHMIN. THAT'S THIS COLUMN HERE,
7 BUT YOU CAN SEE THE RED ONE STATHMIN, THERE'S ONLY
8 ABOUT 10 PERCENT OF PATIENTS. THIS WOULD TEACH US
9 THAT THE IPS PLATFORM ALLOWS US TO TELL US MAYBE
10 WHICH OF THE PATIENTS ARE BETTER TIED TO A DISEASE
11 THERAPY THAN OTHERS. THIS IS REALLY IMPORTANT WHEN
12 WE PUT OUR TRIALS TOGETHER BECAUSE TRIALS TAKE A
13 LONG TIME, IT'S A FATAL DISEASE, YOU'D LIKE TO BE
14 ASSURED OF POSITIVE OUTCOMES.

15 AND SOME PATIENTS ACTUALLY HAVE VERY
16 LITTLE CHANGE THAT ARE SPORADIC ALS, WHICH MEANS
17 SOMETHING IS DIFFERENT ABOUT THEM. THERE'S NOT A
18 NUCLEAR PORE. THERE'S NOT A TDP-43 ABNORMALITY EVEN
19 THOUGH MOST PEOPLE THINK THAT EVERYONE HAS A TDP-43
20 ABNORMALITY. SO THIS IS PLATFORM, WHICH, BY THE
21 WAY, IS SHOWING YOU THE SAME THING WE CAN SEE IN
22 PATIENTS. I'LL SHOW YOU THAT IN A MINUTE.

23 IT TEACHES US THAT FOR THIS PATHWAY, YOU
24 DON'T NEED A MOUSE MODEL. YOU DON'T NEED ALL THAT
25 STUFF ABOUT AGING EPIGENETICS, NOT THAT THAT CAN'T

1 BE IMPORTANT. THIS PATHWAY IS DETECTABLE IN AN IPS
2 PLATFORM.

3 NOW, DOES IT MATCH, THOUGH, HUMAN BRAIN?
4 EARLIER I TOLD YOU IT'S REALLY IMPORTANT WHATEVER
5 MODEL YOU USE MATCHES HUMAN BRAIN. FORTUNATELY,
6 BECAUSE OF THAT LARGE ANSWER ALS PROGRAM, WE
7 ACTUALLY HAVE AUTOPSIES FROM THE SAME PATIENTS THAT
8 WE GENERATED IPS CELLS FROM, AND WE CAN MAKE THE
9 COMPARISON. DID THAT IPS CELL FOR THESE PATHWAYS
10 TEACH US THE SAME THING YOU SEE AT THE OTHER END OF
11 LIFE, DEATH? AND THE ANSWER IS YOU BET IT DID. SO
12 THESE ARE A HANDFUL OF PATIENTS THAT WE'VE STUDIED,
13 AND I'M NOT GOING TO GO THROUGH ALL OF THESE. THESE
14 ARE THE SAME RNA PROCESSING PRODUCTS, BUT BASICALLY
15 THE CHANGES THAT WE SEE IN IPS CELLS WE SEE IN THE
16 SAME PATIENT'S BRAIN AT DEATH. AND THAT MEANS FOR
17 THIS PATHWAY, THIS MODEL SYSTEM, AND NOT ALL MODEL
18 SYSTEMS WILL THIS APPLY TO, IS A GREAT REPRODUCER OF
19 WHAT'S GOING ON IN PATIENTS. IT RECAPITULATES THE
20 SAME CHANGES WE SEE IN PATIENTS.

21 AND WE'VE EVEN DONE MULTIPLE -- IT'S HARD
22 FOR YOU TO SEE -- BUT MULTIPLE TISSUE SAMPLES FROM
23 THE SAME PATIENT'S CORTEX, AND IT'S VERY
24 REPRODUCIBLE. DEFECTS IN IPS MATCH DEFECTS WE SEE
25 IN PATIENTS.

BETH C. DRAIN, CA CSR NO. 7152

1 THIS IS REALLY IMPORTANT IF YOU ARE GOING
2 TO USE A MODEL SYSTEM. I'M NOT GOING TO TELL YOU
3 THIS APPLIES TO OTHER PATHWAYS THAT MAY APPLY TO
4 ALS, BUT THIS IS A MAJOR PATHWAY THAT UNDERLIES
5 SPORADIC ALS, THE VAST AND COMMON FORM OF ALS. IS
6 THIS MODEL SYSTEM GOING TO TEACH US ABOUT
7 INFLAMMATION? NO. THERE'S NO MICROGLIA IN THIS
8 PATHWAY, BUT DO I CARE ABOUT THAT? NO. AND I'LL
9 TELL YOU WHY IN A MINUTE.

10 CAN WE LEARN THE RELATIONSHIP BY USING
11 THESE LARGE NUMBERS OF IPS CELLS TO ACTUAL CLINICAL
12 INDICES? WELL, WE'RE JUST BEGINNING TO DO THAT.
13 THIS IS WHERE YOU NEED AI TO COME IN, AND WE HAVE
14 COLLABORATORS HERE AT HOPKINS, AND IT'S PART OF THE
15 ANSWER ALS PROGRAM AT MIT. WE BEGIN TO LOOK AT
16 THAT, AND SOME OF THESE PATHWAYS IN IPS CELLS DO
17 MATCH THE CLINICAL INDICES THAT WE'RE SEEING. IN
18 THIS CASE, AGE OF ONSET SEEMS TO MATCH THE CHANGES
19 WE'RE SEEING IN THE IPS CELLS THAT WE'VE LOOKED AT
20 SO FAR.

21 NOW, BY THE WAY, THAT VARIABILITY I SHOWED
22 YOU IN IPS CELLS, WE CAN TAKE A DOZEN OR TWO DOZEN
23 HUMAN BRAINS AT AUTOPSY AND SHOW ACTUALLY THAT SAME
24 VARIABILITY. SO STATHMIN OR UNC13, THESE ARE THE
25 SINGLE TARGETS THAT ARE OF GREAT IMPORTANCE. RIGHT

BETH C. DRAIN, CA CSR NO. 7152

1 NOW THERE'S SOME NEW BIOTECHS. THEY'RE CHANGED,
2 BUT, YEAH, NOT EVERY PATIENT HAS CHANGES. SO,
3 AGAIN, A RECAPITULATION OF WHAT WE SEE IN IPS CELLS
4 CAN BE SEEN IN HUMAN BRAIN, TEACHING US THAT THE
5 REAL VALUE OF IPS CELLS, STEM CELLS, IS ACTUALLY IN
6 HUMAN BRAIN.

7 BY THE WAY, I HAVEN'T TOLD YOU WHY IT'S
8 NOT SO VALUABLE FOR THERAPY. STEM CELL THERAPY IN
9 ALS HAS BEEN, QUITE FRANKLY, A DEAD END, TERRIBLE
10 COMPANIES HAVE BEEN INVOLVED, AND IT'S JUST NOT THE
11 WAY OF GETTING AT THE HEART OF THE DISEASE. AND
12 PART OF THAT IS THE COMPLEXITY. IT WOULD TAKE -- IF
13 I COULD PUT A MOTOR NEURON INTO SOMEONE'S SPINAL
14 CORD, IT WOULD TAKE A MINIMUM OF THREE YEARS TO GROW
15 THAT AXON TO TARGET EVEN IF IT COULD EVER FIND ITS
16 TARGET. IT'S NOT THE WAY TO GO. THE POWER OF THIS
17 HUMAN PLATFORM IS IN DISCOVERING PATHWAYS AND THEN
18 USING THESE PLATFORMS FOR DRUG DISCOVERY.

19 IN FACT, THE NEXT SLIDE SHOWS THAT. SO
20 HERE'S -- WE NOW KNOW THE PATHWAY. BY THE WAY, THIS
21 IS ONLY ONE OF MANY PATHWAYS, BUT HERE'S A PATHWAY
22 THAT INVOLVED THAT PROTEIN CHMP7 THAT IS INVOLVED IN
23 THE DEGRADATION OF THE NUCLEAR PORE. WE CAN NOW
24 TAKE 30 IPS LINES THAT HAVE THAT DEFECT AS SHOWN
25 HERE, TREAT THEM WITH AN ANTISENSE OLIGONUCLEOTIDE,

BETH C. DRAIN, CA CSR NO. 7152

1 THE FASTEST THERAPY TO GO FROM LABORATORY TO CLINIC
2 IN NEUROLOGIC DISEASES.

3 AND WE CAN SHOW IN 30 DIFFERENT PATIENTS
4 THAT WE COMPLETELY REPAIR THAT PATHWAY. THIS IS
5 LIKE A HUMAN TRIAL IN CULTURE. IT'S JUST
6 PHENOMENAL. AND THIS IS AN EXAMPLE OF ACTUALLY A
7 PATHWAY THAT'S BEING WORKED ON BY, AGAIN, ANOTHER
8 COMPANY IN YOUR STATE, IMS PHARMACEUTICALS, TO
9 DEVELOP THIS ASO FOR PATIENTS.

10 BY THE WAY, I STRESS THAT THAT'S FAST.
11 ONE OF THE NEWER THERAPIES, WHETHER THEY'LL WORK OR
12 NOT I DON'T KNOW FOR ALS, IS ONE OF THOSE TDP-43
13 DEFECTS KNOWN AS STATHMIN2. THOSE FIRST PAPERS CAME
14 OUT IN 2019 BY THE CLEVELAND LAB AND THE EGGAN
15 GROUP. AND WITHIN THREE YEARS A CLINICAL TRIAL HAD
16 STARTED USING THOSE ASO'S. THERE'S NOTHING THAT
17 FAST. HIGHLY SPECIFIC TARGETING AGENT, AND
18 INCREDIBLY FAST MOVING FROM THE LAB TO THE CLINIC.
19 THAT'S WHY MANY OF US ARE QUITE EXCITED ABOUT THIS
20 APPROACH. THERE ARE GOING TO BE FOLLOW-ON
21 APPROACHES, BUT IT'S WONDERFUL TO UNDERSTAND THE
22 MOLECULAR PATHWAYS TO DEVELOP A DRUG-TARGETED
23 MOLECULAR PATHWAY AND BRING IT TO PATIENTS.

24 AND BY THE WAY, DO WE KNOW THIS APPROACH
25 CAN WORK? SOD1, THE FIRST GENE DISCOVERED, FDA

BETH C. DRAIN, CA CSR NO. 7152

1 APPROVED THE SOD1 ANTISENSE. REMEMBER THIS IS A
2 FATAL DISEASE. CERTAIN FORMS OF THE SOD1 MUTATION
3 PROGRESS OVER NINE MONTHS. THOSE PATIENTS WHO HAVE
4 GOTTEN THE ASO TO SOD1 ARE ALIVE TWO YEARS LATER,
5 AND THEY'RE ACTUALLY IMPROVING IN STRENGTH. THAT'S
6 UNHEARD OF IN AN ADULT NEURODEGENERATIVE DISEASE.
7 AND IT REALLY IS THE SPEED BY WHICH WE CAN TARGET A
8 WELL-DEFINED MOLECULAR PATHWAY. IT'S WHY THE FOCUS
9 ON USING IPS CELLS FOR FINDING THOSE PATHWAYS
10 BECOMES SO IMPORTANT.

11 WHY THIS PROCESS BEGINS IN ALS THEN GOES
12 BACK TO REALLY TRYING TO UNDERSTAND THE DEFECTS IN
13 THE NUCLEAR PORE. WHAT INITIATES THIS DEFECT? AND
14 WE HAVE LEARNED THAT THOSE INDIVIDUAL PROTEINS CAN
15 HAVE -- EACH PROTEIN HAS A MOLECULAR CODE TO IT, AND
16 THERE CAN BE CODING VARIATIONS. SO THIS IS A
17 THOUSAND MOLECULES ALL ASSEMBLED TOGETHER. YOU
18 MIGHT IMAGINE THAT IF THEY DON'T ASSEMBLE PROPERLY
19 JUST BECAUSE OF A SMALL DEFECT, THAT COULD ACTIVATE
20 THAT DEGRADATION PATHWAY. AND WE HAVE NOW
21 DISCOVERED THAT, IN FACT, THAT CAN HAPPEN. AND THIS
22 IS ACTUALLY A HEAT MAP FROM ANDRE HOELZ. THE DARKER
23 THE BROWN, THE GREATER THE FREQUENCY OF DEFECTS IN
24 THOSE NUCLEOPORINS IN SPORADIC ALS CAN BE FOUND. SO
25 THESE AREN'T MUTATIONS. THEY'RE CALLED CODING

1 VARIANTS. AND WE'RE BEGINNING TO THEN LOOK FOR THEM
2 IN THOSE IPS CELLS.

3 AND THIS IS COMING BACK TO WHERE I SHOWED
4 YOU. ALL OF THE IPS CELLS THAT WE HAVE ALREADY, A
5 NUMBER OF THEM HAVE THESE CODING VARIANTS. WE CAN
6 THEN USE THE IPS CELLS TO CORRECT THOSE CODING
7 VARIANTS USING CRISPR METHODOLOGY TO REPAIR THEM.
8 AND WHEN WE REPAIR THEM IN PATIENTS, WILL WE SEE AN
9 IMPROVEMENT IN THE LOSS OF THE NUCLEAR PORE DEFECT
10 AND, THEREFORE, A GREATER SURVIVAL? AND IT WILL
11 GIVE US AN UNDERSTANDING WHAT WAS THE ACTUAL
12 INITIATING EVENT IN SPORADIC ALS. AND THIS IS THE
13 KIND OF ANALYSIS THAT'S DONE. THIS IS THAT NUCLEAR
14 PORE COMPLEX. ANDRE HOELZ CAN LOOK AT INDIVIDUAL
15 PROTEINS AND SAY, WELL, THERE'S A CODING VARIANT
16 HERE, IT MAY BE HERE, AND THIS PREDICTS THAT IT
17 WON'T INTERACT WITH ITS NEIGHBOR PROTEIN, AND THOSE
18 BECOME CANDIDATES FOR US TO ATTEMPT TO REPAIR.

19 ALL OF THIS IS NOT POSSIBLE IF NOT FOR AN
20 IPS-BASED PLATFORM. I'M GOING TO SKIP THROUGH THAT.

21 SO I MENTIONED BEFORE HOW IMPORTANT HAVING
22 LARGE NUMBERS OF IPS CELLS ARE, ESPECIALLY FROM THE
23 SPORADIC ALS POPULATION. THIS COULD EQUALLY APPLY
24 TO ANY OTHER DISEASE. A RELATIVELY LARGE POPULATION
25 OF IPS CELLS HAVE ALSO BEEN DEVELOPED FOR FRONTAL

BETH C. DRAIN, CA CSR NO. 7152

1 TEMPORAL DEMENTIA. I THINK THE LARGEST ONE
2 WORLDWIDE, THOUGH, IS REALLY IN ALS BECAUSE OF THE
3 ANSWER ALS PLATFORM. THAT DATA, BY THE WAY,
4 EVERYTHING DONE IN THE ANSWER ALS PLATFORM,
5 COMPLETELY, FREELY AVAILABLE TO COMPANIES AND
6 ACADEMICS ALIKE. YOU HAVE TO PAY A SMALL FEE TO
7 CLIVE AT CEDARS-SINAI TO BUY THE CELLS ONLY BECAUSE
8 THEY HAVE TO GROW UP A NEW BATCH. BUT THE DATA FROM
9 THAT PLATFORM, ALL THOSE ANALYTICS CAN BE FILTERED
10 ONLINE, COMPLETELY FREE. YOU CAN DOWNLOAD THE WHOLE
11 GENOME SEQUENCES. YOU CAN DOWNLOAD THE RNA SEQ.
12 YOU CAN CHOOSE BETWEEN WHAT AGES OF PATIENTS, HOW
13 FAST THEY'RE PROGRESSING, WHETHER THEY HAVE
14 MUTATIONS OR NOT, AND DOWNLOAD THAT DATA FOR FREE.

15 AND, IN FACT, TODAY ALMOST ALL 1,000 IPS
16 LINES ARE AVAILABLE, NOT QUITE ALL AVAILABLE.
17 THEY'RE GENERATED IN BATCHES. FROM THOSE IPS CELLS,
18 WE ROLL OUT NEW DATASETS ON THE EPIGENOMICS, THE
19 PROTEOMICS, THE TRANSCRIPTOMICS, AND, OF COURSE,
20 WHOLE GENOME IS REALLY KNOWN ON ALL OF THEM, ALL
21 ACCESSIBLE. AND TO DATE THE DATA HAS BEEN SHARED
22 THROUGH COUNTRIES REALLY WORLDWIDE. THIS IS A
23 LITTLE OUTDATED. AND PROJECTS ARE STARTED BASED ON
24 THOSE DATASETS. SO WE'VE NOW ALREADY, AGAIN THIS IS
25 A LITTLE OUTDATED, MORE THAN 95 TERABYTES OF OMICS

BETH C. DRAIN, CA CSR NO. 7152

1 DATA HAVE BEEN RELEASED TO THE WORLD. HUNDREDS OF
2 PROJECTS HAVE BEEN STARTED, AND HUGE NUMBERS OF CELL
3 LINES HAVE BEEN DISTRIBUTED BOTH TO PHARMA AND TO
4 ACADEMICS. THERE ARE NO INTELLECTUAL PROPERTY
5 RESTRAINTS ON ANY OF THIS. NO OWNERSHIP. WE
6 CONSENTED PATIENTS FROM THE VERY BEGINNING KNOWING
7 THAT'S EXACTLY HOW WE WANTED THIS DATA USED.

8 AND I THINK THAT'S -- OH, ONE FINAL POINT,
9 THOUGH ALMOST TO END, IS THAT OVER THE YEARS IN ALS,
10 THIS IS A LIST FROM ABOUT A YEAR AGO OF STUDIES OF
11 MORE THAN A HUNDRED PATIENTS IN ALS. JUST ABOUT ALL
12 OF THESE STUDIES BUT ONE REALLY HAVE ALL BEEN
13 FAILURES. THEY'RE ALL BASED ON SOME PATHWAY
14 ANALYSIS, MANY FROM THE ALS MOUSE, ESSENTIALLY NONE
15 FROM THE IPS CELLS, THEY'RE RELATIVELY NEW, A HIGH
16 RATE OF FAILURES. IT'S INCREDIBLY DEPRESSING.

17 HOWEVER, BEGINNING TO LOOK AT THE GENES
18 THAT CAUSE ALS EITHER FROM GENETIC MODELS OR FROM
19 IPS CELLS, WE'RE ALREADY BEGINNING TO SHOW SUCCESS.
20 SOD1, WE ALREADY HAVE AN FDA APPROVED. THE NEXT ONE
21 SOMEWHERE HERE FUS, A RELATIVELY RARE MUTATION, IS
22 LOOKING ALMOST AS GOOD AS SOD1 IN SLOWING THE
23 DISEASE. IN THIS CASE, FUS IS VERY YOUNG PATIENTS
24 WITH THE DISEASE. ALL OF THESE ARE, I'M GOING TO
25 ARGUE, EASY TARGETS BECAUSE WE KNOW THE MUTATION.

BETH C. DRAIN, CA CSR NO. 7152

1 IT'S SPORADIC DISEASE WHICH IS THE VAST MAJORITY OF
2 DISEASE THAT WE HAVE TO MAKE A DIFFERENCE IN.

3 NOW, RECENTLY, IF WE LOOK AT WHAT'S COMING
4 FROM BOTH IPS CELLS AND SOME MODEL SYSTEMS AND HUMAN
5 TISSUE, THERE ARE A SERIES OF PATHWAYS THAT HAVE
6 BEEN DISCOVERED MORE RECENTLY THAT ALL ARE POTENTIAL
7 TARGETS FOR THERAPIES. AND THIS COMES BACK TO WHERE
8 DO WE WANT TO GO IN THE FUTURE. AND I WOULD ARGUE
9 WHERE WE WANT TO GO IN THE FUTURE IS WITH IPS. BY
10 THE WAY, NOT ALL OF THESE ARE GOING TO BE AMENABLE
11 TO IPS PREPARATIONS, ESPECIALLY INFLAMMATORY
12 PATHWAYS. THERE YOU MAY NEED ORGANIDS. I WON'T
13 SPEAK TO ORGANIDS BECAUSE THERE'S VERY LITTLE WORK
14 IN ALS IN ORGANIDS. I DON'T KNOW IF THEY'RE ANY
15 BETTER THAN WHAT WE'VE SEEN IN TISSUE. I CAN TELL
16 YOU ALL OF THESE LISTS OF PATHWAYS, INFLAMMATION HAS
17 BEEN THE GREATEST -- I WENT BACK TWO SLIDES EARLIER
18 TO SHOW ALL THOSE HUMAN TRIALS. INFLAMMATION IS THE
19 MOST COMMON APPROACH TO ALS. EVERY
20 NEURODEGENERATIVE DISEASE HAS INFLAMMATION, EVERY
21 NEURODEGENERATIVE DISEASE, AT LEAST AT END STAGE.

22 NOW, TWO WEEKS PRIOR TO THE PATIENT'S
23 DEATH, DID THEY HAVE THAT MUCH INFLAMMATION? DON'T
24 KNOW. WE DON'T TEND TO LOOK AT HUMANS THAT EARLY.
25 SOME COMPANIES ARE BEGINNING TO LOOK AT BIOMARKERS,

BETH C. DRAIN, CA CSR NO. 7152

1 BUT I CAN TELL YOU ALL OF THESE INFLAMMATORY
2 PATHWAYS WHERE OFTEN COMPANIES HAVE VERY LITTLE
3 DATA, A LITTLE HISTOLOGY AT DEATH AND THAT'S IT,
4 THEY'VE ALL BEEN FAILURES. THE GREATEST LIST OF
5 FAILURES IN NEURODEGENERATION ARE INFLAMMATORY
6 PATHWAYS. THE GREATEST LIST OF CANDIDATE DRUGS ARE
7 INFLAMMATORY PATHWAYS BECAUSE MOST OF THESE
8 COMPANIES ARE ALREADY DEALING WITH INFLAMMATION FROM
9 PERIPHERAL TARGETS, PERIPHERAL INFLAMMATION. SO
10 THEY HAVE A DRUG ALREADY AND THEY'LL COME TO US.

11 EVEN THOUGH THERE'S A HIGH FAILURE RATE,
12 I'M NOT GOING TO TURN A COMPANY DOWN AS LONG AS THE
13 DESIGN IS DONE WELL BECAUSE I CAN'T TELL YOU I CAN
14 PREDICT WHAT WORKS IN ALS. BUT I CAN TELL YOU
15 THERE'S A LONG HISTORY, TWO PLUS DECADES OF
16 INFLAMMATORY MEDIATORS ALL BEING FAILURES.

17 THE SUCCESSES ARE COMING FROM
18 UNDERSTANDING PATHWAYS. OBVIOUSLY GENE TARGETS ARE
19 PATHWAYS. THERE ARE NOW TARGETS FOCUSING ON
20 ASTROCYTES, OLIGODENDROGLIA. SOME ARE NOT AS WELL
21 WORKED OUT. MANY GENETIC SUBTYPES ARE GOING TO BE
22 TARGETS. THAT MAKES PERFECT SENSE. AND WHAT WE'RE
23 LEARNING FROM IPS CELLS, RNA BINDING PROTEINS,
24 NUCLEAR PORES, NUCLEAR TRANSPORT ARE SORT OF THE
25 UPCOMING PATHWAYS. DNA DAMAGE IS OF INTEREST, AND

BETH C. DRAIN, CA CSR NO. 7152

1 CERTAINLY AXON REGROWTH, THERE ARE COMPANIES THAT
2 ARE DEVELOPING DRUGS FOR AXON REGROWTH. AGAIN,
3 GREAT PATHWAYS FOR STUDYING IN IPS CELLS DUE TO
4 GROWTH OF AXONS.

5 THESE ARE NOT PLATFORMS PARTICULARLY GOOD
6 FOR MULTIPLE SYNAPTIC INTERACTIONS, BUT ALS IS NOT
7 GENERALLY THOUGHT TO BE A MULTISYNAPTIC INTERACTION
8 DISEASE. IT'S HEAVILY BASED ON TRUE CELL BIOLOGY OF
9 INDIVIDUAL CELLS. OTHER THAN CELL-CELL
10 INTERACTIONS, LIKE GLIAL CELLS INTERACTING WITH
11 NEURONS, THAT MAY BE WHERE IPS CELLS FALL SHORT.
12 ORGANIDS MIGHT BE BETTER.

13 SO THIS IS SORT OF JUST A CURRENT LIST OF
14 WHAT WE AS A COMMUNITY THINK ARE INTERESTING
15 PATHWAYS. MOST OF THESE, THOUGH, CAN BE OPTIMIZED
16 THROUGH THE USE OF PATIENT IPS LINES. AND I WOULD
17 ARGUE, DEPENDING ON AS YOU LOOK FORWARD, I WOULDN'T
18 WASTE -- I'M GOING TO BE HARSH HERE. I WOULD NOT
19 WASTE YOUR MONEY ON CELL THERAPIES. I CAN ANSWER
20 QUESTIONS ABOUT THAT. THIS IS WHAT YOU USE IPS
21 CELLS FOR, FOR FINDING PATHWAYS. AND THE QUESTION
22 EARLIER ABOUT DRUG SCREENING, NO QUESTION. INSITRO
23 IN SAN FRANCISCO IS ALREADY USING LARGE NUMBERS OF
24 ANSWER ALS IPS LINES ULTIMATELY FOR DRUG PATHWAY AND
25 DRUG SCREENING. THERE'S NO QUESTION THAT'S AN

BETH C. DRAIN, CA CSR NO. 7152

1 EXCITING PLATFORM FOR COMPANIES, TO A LESSER EXTENT
2 ACADEMICS, TO USE.

3 I WENT KIND OF FAST, BUT I WANTED TO MAKE
4 SURE WE HAD TIME FOR QUESTIONS. I SHOULD POINT OUT
5 THAT, LIKE LORENZ, MUCH OF WHAT WE DO IN THE FIELD
6 IS HEAVILY BASED ON COLLABORATIVE INTERACTIONS
7 BETWEEN MY LAB, DR. COYNE'S LAB HERE FOR SOME OF THE
8 NUCLEAR PORE BIOLOGY, BUT MANY RESEARCHERS, BOTH
9 WEST, EAST COAST, AND EUROPE, THAT HELP MAKE ALL OF
10 THIS RESEARCH POSSIBLE, AND ALL OF US, I BELIEVE,
11 RELY ON MANY DIFFERENT SOURCES OR FUNDING TO MAKE
12 SURE WE CAN MOVE FORWARD RAPIDLY. THANKS.

13 CHAIRMAN GOLDSTEIN: JEFF, THAT WAS
14 ABSOLUTELY TERRIFIC. SO A COUPLE OF COMMENTS AND
15 THEN A QUESTION.

16 THE COMMENT IS YOU AND CLIVE AND
17 COLLABORATORS DESERVE A GREAT THANK-YOU FOR NOT ONLY
18 CREATING THIS LIBRARY, BUT MAKING IT VERY
19 STRAIGHTFORWARD FOR THE REST OF THE COMMUNITY TO
20 ACCESS IT. IT'S A REALLY POWERFUL DISCOVERY TOOL, I
21 THINK.

22 MY SECOND COMMENT IS ACTUALLY A QUESTION.
23 THIS MAY BE LOONEY, BUT THE DEFECTS IN THE NUCLEAR
24 PORE IN SOME WAYS ARE REMINISCENT OF THE DEFECTS IN
25 PROGERIA.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. ROTHSTEIN: YES.

2 CHAIRMAN GOLDSTEIN: AND I GUESS THE
3 QUESTION IS WOULD ALL OF THESE NUCLEAR PORE DEFECTS
4 IN ALS BENEFIT FROM A SHOT OF THE NORMAL PROGERON
5 GENE?

6 DR. ROTHSTEIN: DIFFERENT. SO PROGERIA, I
7 BELIEVE, AFFECTS LAMINS. I CAN'T REMEMBER IF IT'S
8 LAMINATORS. I NEVER REMEMBER THIS.

9 CHAIRMAN GOLDSTEIN: FAIR ENOUGH. RIGHT.

10 DR. ROTHSTEIN: NUCLEAR MEMBRANES ARE
11 ACTUALLY NOT THE DEFECT IN ALS. WE BELIEVE IT.
12 WE'VE DONE A STRUCTURAL LAMINATION MICROSCOPY. WE'D
13 LOVE TO DO CRYO EM. IT'S VERY DIFFICULT IN IPS
14 CELLS, BUT WE'VE EXTENSIVELY LOOKED. SO NUCLEAR
15 LAMINS ARE NOT REALLY DEFECTIVE IN ALS. IT'S THE
16 PORE ITSELF. BUT IT WAS A VERY IMPORTANT QUESTION
17 FOR US TO LOOK AT EARLIER.

18 ON THE OTHER HAND, COULD I TELL YOU THAT
19 IF WE DID SOMEHOW ENHANCE LAMINS OR FOR THAT MATTER,
20 THIS MAYBE WILL GO BEYOND MANY OF YOU IN THE
21 AUDIENCE, THE LINK COMPLEX. THERE ARE CERTAIN LINK
22 COMPLEX PROTEINS THAT INTERACT WITH THE NUCLEAR PORE
23 SUCH AS SUN1. THERE MAY BE A HINT THERE. RIGHT NOW
24 MOST OF IT'S COMING FROM THIS ESCRT-III PATHWAY,
25 WHICH IS CHMP7, AND ITS INTERACTION. WE DON'T KNOW

BETH C. DRAIN, CA CSR NO. 7152

1 WHAT STARTS THAT. WE GUESS RIGHT NOW IT'S THESE
2 CODING VARIANTS THAT WE THINK SOMEHOW OVER TIME
3 BUILD UP SOME SLOWLY DEVELOPING INJURY. ONE OF THE
4 THINGS, AGAIN, FOR ANY OF THE SCIENTISTS IN THE
5 ROOM, AGAIN, THIS WORK COMES FROM THE SALK, IS THAT
6 NUCLEAR PORE PROTEINS ARE SOME OF THE LONGEST LIVED
7 PROTEINS IN THE HUMAN BODY. SOME OF THOSE
8 NUCLEOPORINS HAVE HALF-LIFE MEASURED IN YEARS, NOT
9 HOURS, NOT DAYS, BUT YEARS.

10 IN FACT, WHEN WE FIRST PUBLISHED OUR FIRST
11 STUDIES IN 2015 ON NUCLEAR PORE -- NUCLEAR TRANSPORT
12 DEFECTS, IT WAS RUSTY GAGE WHO SEPARATELY THAT SAME
13 YEAR HAD A PAPER IN *SCIENCE* ON SORT OF DEFECTS
14 ASSOCIATED WITH AGING. ONE OF THE HIGHEST ONES WAS
15 A NUCLEOPORIN. I CAN'T REMEMBER IF IT WAS NUKE 62
16 OR GROUP 98. SO AGING, YES, IN SOME WAY IS THAT
17 COMPONENT. BUT I CAN ONLY HANDWAVE AT THIS POINT,
18 LARRY.

19 CHAIRMAN GOLDSTEIN: INTERESTING.
20 QUESTIONS FROM THE GROUP?

21 DR. ROTHSTEIN: BY THE WAY -- GO RIGHT
22 AHEAD. SOMEONE HAS QUESTIONS.

23 CHAIRMAN GOLDSTEIN: WELL, I WAS JUST
24 GOING TO MENTION ON THE ONE HAND IT'S A TREMENDOUSLY
25 DISAPPOINTING THERAPY DISCOVERY EFFORT SO FAR.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. ROTHSTEIN: YES.

2 CHAIRMAN GOLDSTEIN: I'VE CERTAINLY SEEN
3 THOSE FIRSHTHAND.

4 DR. ROTHSTEIN: GO AHEAD.

5 CHAIRMAN GOLDSTEIN: I THINK WHERE YOU ARE
6 WITH THE NUCLEAR PORE IS A REALLY INCREDIBLE
7 OPPORTUNITY TO MAKE A DIFFERENCE FOR A SUBSET OF ALS
8 PATIENTS IF YOU CAN FIND ASO'S OR DRUGS THAT HELP.

9 DR. ROTHSTEIN: I WANT TO MAKE SURE. I
10 DID THIS FAST. THERE ARE OTHER LABORATORIES THAT
11 ARE VERY MUCH IPS BASED. A LOT OF LABORATORIES IN
12 ALS ARE BEGINNING TO MOVE AWAY FROM MICE USING IPS.
13 AND YOU HEARD ME BEING VERY BLUNT AT THE BEGINNING.
14 IT'S NOT ABOUT THERAPY. WHAT I MEAN BY THAT IT'S
15 NOT ABOUT CELLULAR THERAPY. IT'S REALLY ABOUT USING
16 THE VALUE OF A TRUE HUMAN MODEL SYSTEM TO UNDERSTAND
17 DISEASE. AS LONG AS YOU MAKE SURE YOU TRY TO
18 REPLICATE THAT IN HUMAN BRAIN, KNOWING YOU MIGHT
19 NOT, THAT'S END STAGE DISEASE. A LOT OF OTHER
20 THINGS HAPPEN AT THE END STAGE, BUT NOT CELLULAR
21 THERAPY.

22 I KNOW MY COLLEAGUE CLIVE HAS BEEN TRYING
23 CELL THERAPY, BUT IT'S -- THE COMPLEXITY IN A
24 DISEASE LIKE ALS, THIS IS VERY DIFFERENT THAN
25 LORENZ'S DISCUSSIONS AROUND, SAY, PARKINSON'S

BETH C. DRAIN, CA CSR NO. 7152

1 DISEASE, WHICH IS VERY FOCAL. WE'RE TALKING ABOUT
2 THE ENTIRE MOTOR CORTEX, THE ENTIRE NEURO AXIS, YOUR
3 ENTIRE SPINAL CORD MOTOR NEURONS, WHICH HAVE VERY
4 LONG PROCESSES, THE SOMA, THE CELL BODY, AS YOU
5 KNOW.

6 JUST FOR THOSE OF YOU WHO DON'T KNOW, THE
7 CELL BODY OF A MOTOR NEURON THAT ALLOWS YOU TO
8 WIGGLE YOUR BIG TOE STARTS AT YOUR BELLY BUTTON.
9 AND THAT LONG AXON GOES FROM THERE ALL THE WAY DOWN
10 THREE OR FOUR FEET, DEPENDING HOW TALL OR SHORT YOU
11 ARE, AND THAT'S JUST INCREDIBLY COMPLEX. NOT TO SAY
12 ONE DAY SOMEONE MIGHT KNOW HOW TO DO THAT, BUT THOSE
13 DAYS OF FOCUSING ON THAT, I THINK, ARE PAST. THERE
14 ARE A FEW COMPANIES IN OUR FIELD THAT HAVE BEGUN TO
15 DEAL WITH CELLULAR-BASED THERAPIES THAT HAVE BEEN
16 TERRIBLE. I CAN ONLY SAY -- I CAN'T SAY ANYTHING
17 NICE ABOUT THEM. THEY'RE NOT GOOD COMPANIES.

18 JON, YOU HAVE YOUR HAND UP.

19 CHAIRMAN GOLDSTEIN: J.T., YEAH.

20 DR. THOMAS: HI, JEFF. ANOTHER JUST
21 OUTSTANDING PRESENTATION. THANK YOU.

22 IF YOU HAD TO SPECULATE, WHAT IS IT THAT
23 ALLOWS THE PATIENTS WITH THE CONDITION FOR 25 TO 30
24 YEARS TO MAKE IT THAT FAR, LET ALONE STEVEN HAWKING
25 WHO CLIVE HOSTED AT CEDARS A NUMBER OF YEARS AGO,

BETH C. DRAIN, CA CSR NO. 7152

1 WHICH WAS A FASCINATING EVENT, WHY IS THAT
2 HAPPENING?

3 DR. ROTHSTEIN: YES. IT'S A GREAT
4 QUESTION. AND THE SIMPLE ANSWER IS, SHIT, I DON'T
5 KNOW. NO ONE KNOWS. ALL DEGENERATIVE DISEASES ARE
6 LATE ONSET, ALZHEIMER'S, PARKINSON'S. YOU COULD
7 POSE THAT QUESTION TO ANY ONE OF US, AND WE DON'T
8 KNOW THAT ANSWER. WE KNOW -- THIS IS WHERE ANIMAL
9 MODELS ARE USEFUL OR EVEN IPS. WE KNOW THEY CAN
10 HAVE AN INJURY CASCADE EARLY ON, BUT WHAT TIPS THAT
11 OVER TO CELL DEATH WE DON'T KNOW YET. IS THAT AN
12 ENVIRONMENTAL STRESSOR? WE KNOW THE IPS CLEARLY HAS
13 A DEFECT. I CAN SHOW THE SAME DEFECTS IN BRAIN. SO
14 I KNOW I CAN BRIDGE THOSE TWO. EVEN IN MOUSE MODELS
15 WE KNEW YOU CAN SEE A DEFECT. MOUSE MAY DIE AT 120
16 DAYS OF AGE; BUT, GEE, AT TWO WEEKS OF AGE? WE CAN
17 FIND ALREADY EARLY RNA CHANGES AND PROTEIN CHANGES.
18 WHY THAT ACCUMULATES TO REACH A THRESHOLD, I DON'T
19 THINK ANY OF US REALLY FULLY UNDERSTAND. I CAN
20 HANDWAVE, BUT SAY, WELL, NUCLEAR PORE PROTEINS HAVE
21 SUCH A LONG HALF-LIFE AND THEY'RE NOT DIVIDING
22 CELLS, SO CAN TAKE TIME TO BUILD UP AN INJURY. I'M
23 REALLY HANDWAVING THERE.

24 SO THAT WAS A REALLY CRAPPY ANSWER TO YOUR
25 QUESTION, BUT IT'S THE BEST I CAN DO.

BETH C. DRAIN, CA CSR NO. 7152

1 STEVEN HAWKING, THOUGH, PEOPLE DON'T
2 REALIZE HE WAS ON A VENTILATOR. IF YOU'RE ON A
3 VENTILATOR, YOU DON'T DIE OF ALS. YOU DIE BECAUSE
4 THE VENTILATOR, YOU GET A BAD INFECTION. I'VE HAD
5 PATIENTS WHO LIVE 20 PLUS TO 30 YEARS WHEN THEY
6 SHOULD HAVE DIED WHEN THEY WERE TEN YEARS INTO THE
7 DISEASE, BUT THEY ARE ON A VENTILATOR.

8 THE DISEASE DOES NOT STOP, AND YOU CAN SEE
9 STEVEN HAWKING COULDN'T DO MUCH. IN FACT, IN THE
10 BEGINNING, AS THE DISEASE MOVES ON, THE ONLY THING
11 PRESERVED ARE YOUR EYE MOVEMENTS, AND WE USE
12 COMPUTER SCREENS TO READ LETTERS, BUT EVENTUALLY
13 EVEN THAT CAN GO AWAY FOR MOTOR NEURONS. BUT AS
14 LONG AS YOU'RE BREATHING, YOU DON'T DIE. AND YOU'LL
15 ONLY DIE BECAUSE THE VENTILATOR COMES OFF
16 ACCIDENTALLY. IT HAPPENS IN PATIENTS OCCASIONALLY.
17 POWER TO THE VENTILATOR, OR YOU CAN HAVE A SEVERE
18 INFECTION. AND THE FOURTH REASON IS YOU FINALLY
19 SAY, "I DON'T WANT TO BE ON A VENTILATOR ANYMORE.
20 HELP ME END MY LIFE." ALL OF THOSE ARE REAL-WORLD
21 EXAMPLES. BUT HE LIVED LONGER BECAUSE HE WAS
22 ARTIFICIALLY VENTILATED.

23 DR. THOMAS: INTERESTING.

24 CHAIRMAN GOLDSTEIN: VITO, YOU HAVE A
25 QUESTION?

BETH C. DRAIN, CA CSR NO. 7152

1 CHAIRMAN IMBASCIANI: HI. I HAVE A
2 QUESTION FOR JEFFREY. JEFFREY, I GOT TO TELL YOU
3 THAT MY PH.D. FROM CORNELL IS IN THE HUMANITIES.
4 OKAY. SO BUT A QUESTION I READ IN THE NEWSPAPERS
5 THAT POLIO IS RESURGENT IN NEW YORK CITY'S WATERS.
6 AND I'M THINKING IS THERE ANY INTEREST IN YOUR
7 COMMUNITY TO UNDERSTAND HOW THE ANTERIOR HORN CELLS
8 THAT ARE KILLED OFF BY THE POLIO VIRUS AND THEN THE
9 SURVIVING NEURONS, I PRESUME, ARBORIZE TO PICK UP
10 ORPHAN NEUROMUSCULAR. IS THERE ANYTHING IN THAT
11 AREA? I DON'T READ ANYTHING IN THE POST-POLIO.

12 DR. ROTHSTEIN: YEAH. WHAT YOU ARE
13 REFERRING TO IS WHAT'S CALLED POST-POLIO. FIRST OF
14 ALL, IT'S EXTREMELY RARE. I HAVE, I THINK, THREE
15 PATIENTS IN MY CAREER THAT ARE POST-POLIO. ONE WAS
16 A SENATOR. AND THERE WAS -- ACTUALLY THE ONLY
17 RESEARCH I KNOW IN THE U.S. WAS A GUY NAMED BURT
18 JUBELT AT SYRACUSE WHO USED TO DO WORK ON THAT.
19 BEYOND THAT I KNOW NO ONE ELSE DOING IT.

20 THERE'S A VERSION OF THAT, SOME OF THE
21 CHILDHOOD ENCEPHALITITIES, BOTH WEST NILE
22 AND -- SHIT, I'M BLOCKING ON THE OTHER ONE. THERE'S
23 ACTUALLY A COLLEAGUE WHO WAS A YOUNG M.D./PH.D.
24 PEDIATRIC NEUROLOGIST WHO'S WORKING ON ONE OF THOSE
25 WHERE IT LOOKS LIKE POLIO. IT'S A RESURGENCE OF A

BETH C. DRAIN, CA CSR NO. 7152

1 VIRUS LIKE POLIO, IT'S NOT POLIO, THAT AFFECTED
2 CHILDREN PREDOMINANTLY MOTOR NEURONS SPECIFICITY
3 THAT THERE HAD TO DO MORE WITH THE VIRUS GETTING
4 INTO MOTOR NEURONS. AND BY THE WAY, AFFECTING THE
5 NUCLEUS INCLUDING NUCLEAR PORE PROTEINS. AND THAT'S
6 ACTUALLY WHAT HE WAS STUDYING IN MY LAB.

7 BUT THE POST-POLIO SIDE OF THINGS, I DON'T
8 KNOW OF ANYONE STUDYING IT. IT'S EXACTLY AS YOU
9 DESCRIBE. IT'S THE ARBORIZATION. AND IT
10 COMES -- ACTUALLY AS YOU SAID THAT, IT REMINDS ME.
11 AS WE'RE KEEPING SOD1 PATIENTS ALIVE NOW WHO LOST
12 HALF THEIR MOTOR NEURONS, WE'RE SEEING WHAT WE THINK
13 IS THAT SAME EFFECT. THE REMAINING MOTOR NEURONS
14 BRANCH OUT NOW.

15 AND FOR THOSE IN THE AUDIENCE WHO DON'T
16 KNOW THIS, POST-POLIO WAS THE FACT THAT YOU HAVE
17 POLIO AND YOU'RE VERY WEAK, YOUR OTHER MOTOR NEURONS
18 ARE SORT OF COMPENSATING, THEY'RE HELPING OUT
19 KEEPING THINGS MOVING A LITTLE BIT, BUT EVENTUALLY
20 THEY DIE AS WELL. AND THAT WAS WHAT WAS CALLED
21 POST-POLIO. EVENTUALLY YOU'RE STABLE AND THEN YOU
22 START GETTING WEAK AGAIN. THE SAME COULD OCCUR WITH
23 THE SOD1 PATIENTS EXCEPT THEY'RE GETTING THEIR
24 THERAPIES TODAY MUCH LATER IN LIFE, THEIR FIFTIES.

25 I HAVE TO TELL YOU ONE OTHER THING THAT'S

BETH C. DRAIN, CA CSR NO. 7152

1 THE MOST EXCITING TO MANY OF US. AS WE DEVELOP GENE
2 THERAPIES, IT'S NOT AS MUCH ABOUT STEM CELLS, BUT
3 DERIVES FROM THEM. AS WE DEVELOP GENE THERAPIES FOR
4 PATIENTS WHO HAVE DISEASE NOW, WHAT WE'RE DOING IS
5 THINKING ABOUT THE NEXT STEP. SO WHAT IF YOU HAVE
6 ALS, UNFORTUNATELY, BUT YOUR SON CARRIES THE
7 MUTATION. HE'S GOING TO GET DISEASE ONE DAY. SOME
8 OF THESE ARE A HUNDRED PERCENT PENETRANT. WE'RE
9 ACTUALLY FOLLOWING THOSE INDIVIDUALS NOW. AND
10 THERE'S A PROTEIN IN THE BLOOD THAT SAYS THE NERVOUS
11 SYSTEM IS STARTING TO GET AFFECTED. THEY'RE GETTING
12 THE GENE THERAPY BEFORE THEY GET DISEASE. BUT THE
13 IDEA IS THEY WILL NEVER ACTUALLY GET ALS BECAUSE
14 WE'RE TURNING OFF THAT GENE WITH THIS THERAPY.

15 AND THE NEXT GENERATION OF THAT, AND THIS
16 IS GOING ON HEAVILY ACTUALLY BY SOME OF THE PEOPLE
17 IN YOUR STATE, SOMEONE I'M TRYING TO RECRUIT TO
18 HOPKINS, IS USING CRISPR TO COMPLETELY FIX THE GENE.
19 BUT RIGHT NOW ASO'S ARE THAT NEXT GREAT HOPE, AND
20 THAT'S PREVENTIVE NEUROLOGIC MEDICINE, UNHEARD OF IN
21 NEUROLOGY UNTIL THERAPIES. AND I'M GOING TO ARGUE
22 THIS IS WHERE IPS PLATFORM, YOU'RE GOING TO INVEST
23 LOCALLY IN YOUR STATE, THOSE ARE THE THINGS TO THINK
24 ABOUT.

25 CHAIRMAN IMBASCIANI: THANK YOU.

BETH C. DRAIN, CA CSR NO. 7152

1 CHAIRMAN GOLDSTEIN: SO IF THERE'S NOTHING
2 BURNING, WE SHOULD PROBABLY WRAP UP THIS DISCUSSION.
3 JEFF, FASCINATING AS ALWAYS. WE DO HAVE A COUPLE
4 MINUTES FOR PUBLIC COMMENT. CLAUDETTE, DO WE HAVE
5 ANY OF THAT?

6 MS. MANDAC: WE ACTUALLY DO HAVE A PUBLIC
7 COMMENT FROM A PERSON IN OUR ROOM.

8 DR. CHAMBERS: HELLO. MY NAME IS STUART
9 CHAMBERS. IF THAT RINGS A BELL, I WAS A POST-DOC IN
10 LORENZ STUDER'S LAB. AND I DEVELOPED MANY OF THE
11 PROTOCOLS THAT WERE FOR MAKING NEURONS IN THE
12 NERVOUS SYSTEM FROM PLURIPOTENT STEM CELLS. AND
13 THAT'S THE BASIS FOR WHAT NOW PROPELS BLUE ROCK,
14 NEURONA, AND ASPEN NEUROSCIENCE AS MANY OTHERS
15 TOWARDS THE CLINIC.

16 I'M HERE TO ADVOCATE FOR EARLY SEED
17 START-UPS AND THEIR APPLICATION PROCESS AS PART OF
18 APPLYING TO CIRM. I WANTED TO BRING THIS TO THE
19 TASK FORCE'S ATTENTION BECAUSE THERE'S A BIG
20 CHALLENGE WITH PRE-SEED COMPANIES IN PARTICULAR IN
21 REGARDS TO APPLYING FOR CIRM FUNDING. THERE'S A
22 SOLVENCY CHECK IN PLACE THAT MAKES IT TRICKY,
23 BASICALLY MAKES IT A BURDEN.

24 IN MY PERSONAL CASE, I COULD NOT APPLY AT
25 ALL. AND I JUST SIMPLY WANTED TO SAY THAT THIS IS

BETH C. DRAIN, CA CSR NO. 7152

1 IMPORTANT. IT'S IMPORTANT THAT WE FIGURE OUT WAYS
2 FOR PRE-SEED AND EARLY SEED COMPANIES TO CONTINUE TO
3 BE ABLE TO APPLY FOR CIRM. I RECOGNIZE THE PURPOSE
4 OF THE SOLVENCY CHECK IN TERMS OF MAKING GOOD
5 INVESTMENTS AND BEING GOOD STEWARDS OF THE
6 CALIFORNIA STATE'S MONEY, BUT AT THE SAME TIME I
7 WONDER IF THERE ARE CREATIVE SOLUTIONS TO THIS
8 PROBLEM.

9 I JUST SIMPLY WANT TO BRING IT UP TO THIS
10 AUDIENCE AND CIRM AS A WHOLE SO THAT WE CAN TALK
11 ABOUT THIS IN THE FUTURE.

12 CHAIRMAN GOLDSTEIN: GREAT POINT. CAN
13 SOMEBODY MAKE SURE THAT SHYAM PATEL GETS ON THIS TO
14 THINK ABOUT IT?

15 MR. TOCHER: WILL DO, LARRY.

16 CHAIRMAN GOLDSTEIN: GREAT. ANY OTHER
17 PUBLIC COMMENT?

18 MS. MANDAC: NO HANDS RAISED.

19 CHAIRMAN GOLDSTEIN: OKAY. IF NOT, I'M
20 GOING TO ADJOURN US TWO MINUTES LATE. SORRY FOR THE
21 EXTRA TIME. AND THANK YOU ALL FOR YOUR
22 PARTICIPATION. FASCINATING DISCUSSIONS TODAY. JEFF
23 AND LORENZ, THANK YOU FOR HELPING US. VITO HAS GOT
24 IT RIGHT.

25 DR. THOMAS: THANK YOU, GUYS.

BETH C. DRAIN, CA CSR NO. 7152

- 1
- 2
- 3
- 4
- 5
- 6
- 7
- 8
- 9
- 10
- 11
- 12
- 13
- 14
- 15
- 16
- 17
- 18
- 19
- 20
- 21
- 22
- 23
- 24
- 25

(THE MEETING WAS THEN CONCLUDED AT 12:02 P.M.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE VIRTUAL PROCEEDINGS BEFORE THE TASK FORCE ON NEUROSCIENCE AND MEDICINE OF THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD ON MARCH 22, 2024, WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CA CSR 7152
133 HENNA COURT
SANDPOINT, IDAHO
(208) 920-3543