

BETH C. DRAIN, CA CSR NO. 7152

BEFORE THE
APPLICATION REVIEW SUBCOMMITTEE OF THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: VIA ZOOM

DATE: MAY 30. 2024
9 A.M.

REPORTER: BETH C. DRAIN, CA CSR
CSR. NO. 7152

FILE NO.: 2024-25

**133 HENNA COURT, SANDPOINT, IDAHO 83864
208-920-3543 DRAIBE@HOTMAIL.COM**

I N D E X

ITEM DESCRIPTION	PAGE NO.
OPEN SESSION	
1. CALL TO ORDER	3
2. ROLL CALL	3
3. CONSIDERATION OF APPLICATIONS SUBMITTED IN RESPONSE TO CLINICAL TRIAL STAGE PROJECTS PROGRAM ANNOUNCEMENTS (CLIN 1 OR 2)	4
4. CONSIDERATION OF APPLICATIONS SUBMITTED IN RESPONSE TO TRANSLATIONAL PROJECTS PROGRAM ANNOUNCEMENT (TRAN 1, 2, 3 OR 4)	18
5. CLOSED SESSION	NONE
DISCUSSION OF CONFIDENTIAL INTELLECTUAL PROPERTY OR WORK PRODUCT, PREPUBLICATION DATA, FINANCIAL INFORMATION, CONFIDENTIAL SCIENTIFIC RESEARCH OR DATA, AND OTHER PROPRIETARY INFORMATION RELATING TO APPLICATIONS SUBMITTED IN RESPONSE TO AGENDA ITEMS 3 & 4 ABOVE. (HEALTH & SAFETY CODE 125290.30(F) (3) (B) AND (C)).	
OPEN SESSION	
6. GENERAL COMMENTS ON ARS PROCESS	NONE
7. PUBLIC COMMENT	NONE
8. ADJOURNMENT	68

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MAY 30, 2024; 9 A.M.

CHAIRMAN IMBASCIANI: THANK YOU VERY MUCH.
GOOD MORNING TO ALL MEMBERS OF THE BOARD. THIS IS
THE MAY 30 MEETING OF THE APPLICATION REVIEW
SUBCOMMITTEE OF THE BOARD, OF THE CIRM GOVERNING
BOARD. I WANT TO WELCOME ALL THE BOARD MEMBERS TO
THIS MEETING AND TO ALL THE MEMBERS OF THE PUBLIC
WHO ARE EITHER LISTENING IN OR WHO HAVE GRACED US
WITH THEIR PRESENCE HERE TODAY IN THE BOARDROOM.
GOOD MORNING.

SO WE'RE GOING TO START THE MEETING WITH A
CALL TO ORDER AND THE ROLL CALL.

MR. HUANG: DAN BERNAL. MARIA BONNEVILLE.

VICE CHAIR BONNEVILLE: PRESENT.

MR. HUANG: JUDY CHOU.

DR. CHOU: PRESENT.

MR. HUANG: LEONDRA CLARK-HARVEY.

ANNE-MARIE DULIEGE. YSABEL DURON.

MS. DURON: HERE.

MR. HUANG: MARK FISCHER-COLBRIE.

DR. FISCHER-COLBRIE: HERE.

MR. HUANG: FRED FISHER.

DR. FISHER: HERE.

MR. HUANG: ELENA FLOWERS.

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

DR. FLOWERS: PRESENT.
MR. HUANG: DAVID HIGGINS.
DR. HIGGINS: PRESENT.
MR. HUANG: VITO IMBASCIANI.
CHAIRMAN IMBASCIANI: HERE.
MR. HUANG: STEVE JUELSGAARD.
MR. JUELSGAARD: PRESENT.
MR. HUANG: RICH LAJARA.
MR. LAJARA: HERE.
MR. HUANG: LAUREN MILLER-ROGEN. ADRIANA
PADILLA.
DR. PADILLA: HERE.
MR. HUANG: JOE PANETTA.
MR. PANETTA: HERE.
MR. HUANG: MARVIN SOUTHARD.
DR. SOUTHARD: HERE.
MR. HUANG: KAROL WATSON. KEVIN XU.
DR. XU: HERE.
MR. HUANG: WE HAVE QUORUM.
CHAIRMAN IMBASCIANI: WE HAVE A QUORUM.
GREAT. THANK YOU.
WE CAN START WHAT'S GOING TO BE A FULL --
WE'RE GOING TO USE ALL THE TIME ALLOTTED TO US
TODAY. THERE ARE MANY MEMBERS OF THE PUBLIC WHO
HAVE STATED THEIR DESIRE TO SPEAK. I'M PROBABLY

BETH C. DRAIN, CA CSR NO. 7152

1 GOING TO HAVE TO ASK THEM TO LIMIT THEMSELVES TO TWO
2 MINUTES BECAUSE WE ABSOLUTELY HAVE TO COMPLETE OUR
3 AGENDA BY THE TIME THIS MEETING IS SCHEDULED TO END
4 AT 11 O'CLOCK THIS MORNING.

5 WE HAVE TWO SETS OF APPLICATIONS, CLINICAL
6 AND TRANSLATIONAL, AND WE'RE GOING TO START THE NEXT
7 ORDER OF BUSINESS AS CONSIDERATION OF THOSE
8 APPLICATIONS THAT HAVE BEEN SUBMITTED IN RESPONSE TO
9 THE CLINICAL TRIAL STAGE PROJECTS PROGRAM
10 ANNOUNCEMENT. THESE ARE CLIN 1 OR CLIN 2 IN THE
11 PARLANCE OF CIRM. FOR THIS I'M GOING TO CEDE THE
12 MICROPHONE TO HAYLEY LAM -- WHERE ARE YOU,
13 HAYLEY? -- TO MAKE THE PRESENTATION. THANK YOU.
14 GOOD MORNING.

15 DR. LAM: GOOD MORNING. THANK YOU, VITO.
16 ALL RIGHT. CAN EVERYONE SEE THAT?

17 CHAIRMAN IMBASCIANI: YES.

18 DR. LAM: THANK YOU. ALL RIGHT. SO I'LL
19 TAKE YOU THROUGH THE CLINICAL APPLICATIONS UP FOR
20 DISCUSSION TODAY.

21 AS ALWAYS, WE BEGIN WITH OUR MISSION,
22
23
24
25

BETH C. DRAIN, CA CSR NO. 7152

1 ACCELERATING WORLD-CLASS SCIENCE TO DELIVER
2 TRANSFORMATIVE REGENERATIVE MEDICINE TREATMENTS IN
3 AN EQUITABLE MANNER TO A DIVERSE CALIFORNIA AND
4 WORLD.

5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

BETH C. DRAIN, CA CSR NO. 7152

1 THE CURRENT STATE OF THE CLINICAL BUDGET
2 IS AS FOLLOWS: JUST UNDER 200 MILLION HAS BEEN
3 ALLOCATED BY THIS GROUP. WE HAVE 12 MILLION IN
4 AWARDS THAT'S UP FOR DISCUSSION TODAY, AND THAT
5 GIVES A REMAINDER OF ABOUT 40 MILLION ON THE FISCAL
6 YEAR.

7 THE SCIENTIFIC SCORING SYSTEM FOR THE
8 CLINICAL PROGRAM SHOULD BE FAMILIAR TO EVERYONE
9 HERE. IT'S SCORES OF 1, 2, AND 3. A 1 IS A
10 RECOMMENDATION FOR FUNDING. A 2 OR 3 IS A DO NOT
11 RECOMMEND AT THIS TIME, A 2 ALLOWS THE APPLICANT TO
12 RETURN FOR A RESUBMISSION WITHIN THE NEXT SIX
13 MONTHS. A SCORE OF 3 IS A DO NOT RECOMMEND AND THE
14 SAME PROJECT CANNOT BE RESUBMITTED FOR AT LEAST SIX
15 MONTHS. AND ALL THE APPLICATIONS ARE SCORED BY THE
16 SCIENTIFIC MEMBERS OF THE PANEL WITH NO CONFLICT.

17 AND THEY WILL BE SCORING OR THEY HAVE
18 SCORED, RATHER, ACROSS THESE FIVE SCIENTIFIC REVIEW
19 CRITERIA. FIRST ONE BEING DOES THE PROJECT HOLD THE
20 NECESSARY SIGNIFICANCE AND POTENTIAL FOR IMPACT?
21 TWO, IS THE RATIONALE SOUND? THREE, IS THE PROJECT
22 WELL PLANNED AND DESIGNED? FOUR, IS THE PROJECT
23 FEASIBLE? AND FIVE, DOES THE PROJECT UPHOLD THE
24 PRINCIPLES OF DIVERSITY, EQUITY, AND INCLUSION?

25 THERE IS ALSO FOR THE CLINICAL PROGRAM A

BETH C. DRAIN, CA CSR NO. 7152

1 SEPARATE DIVERSITY, EQUITY, AND INCLUSION SCORING.
2 AND THESE ARE SCORED BY ALL GRANTS WORKING GROUP
3 BOARD MEMBERS WITH NO CONFLICT. AND THE SCALE IS
4 DIFFERENT HERE. IT'S A ZERO TO TEN WITH A TEN BEING
5 THE BEST RESPONSE. AND THEY'RE SCORED ACCORDING TO
6 THE RUBRIC HERE WHICH IS LINKED ON OUR WEBSITE.

7 AND JUST TO SUMMARIZE, THE COMPOSITION AND
8 ROLES OF THE FOLKS WHO EVALUATE THESE CLINICAL
9 APPLICATION ARE AS FOLLOWS. WE HAVE UP TO 15
10 SCIENTIFIC GRANTS WORKING GROUP MEMBERS WHO PROVIDE
11 THE SCIENTIFIC SCORE ON ALL APPLICATIONS. WE HAVE
12 OUR GRANTS WORKING GROUP BOARD MEMBERS. THESE ARE
13 THE PATIENT ADVOCATES AND NURSES THAT PROVIDE A DEI
14 SCORE ON ALL APPLICATIONS AND PROVIDE A SUGGESTED
15 SCIENTIFIC SCORE. AND WE HAVE AD HOC SPECIALISTS
16 THAT COME IN TO PROVIDE SCIENTIFIC EVALUATION ACROSS
17 AREAS OF EXPERTISE THAT ARE NEEDED FOR SPECIFIC
18 APPLICATIONS.

19 WITH THAT, I'LL TRANSITION TO THE
20 APPLICATIONS UNDER CONSIDERATION TODAY. JUST A
21 NOTE, THAT THE FOLLOWING APPLICATION, CLIN1-14770,
22 HAS THREE MEMBERS OF THE BOARD WITH CONFLICTS OF
23 INTEREST AS FOLLOWS: MARIA BONNEVILLE, YSABEL
24 DURON, AND STEVE JUELSGAARD.

25 SO CLIN1-14770, THE TITLE OF THIS

BETH C. DRAIN, CA CSR NO. 7152

1 APPLICATION IS "AUTOLOGOUS GENE CORRECTED SINUS
2 BASAL CELLS TO TREAT SERIOUS CYSTIC FIBROSIS SINUS
3 DISEASE." THE THERAPY ITSELF IS GENE-CORRECTED
4 BASAL STEM CELLS FROM PATIENTS WITH CYSTIC FIBROSIS.
5 AND THE INDICATION IS CHRONIC SINUSITIS IN CYSTIC
6 FIBROSIS. AND THE GOAL OF THIS PROJECT IS AN IND
7 FILING. THE FUNDS REQUESTED ARE JUST EXACTLY AT 6
8 MILLION WITH NO CO-FUNDING WHICH NONE IS REQUIRED.
9 AND AN ADDITIONAL NOTE THAT WE'VE ADDED FOR THESE
10 CLINICAL AWARDS AT THE PRIOR ARS, THIS IS A
11 CALIFORNIA ORGANIZATION THAT HAS APPLIED.

12 A BIT OF BACKGROUND ON THIS APPLICATION.
13 SO CYSTIC FIBROSIS IS A GENETIC DISEASE AND CAN
14 CAUSE LUNG DAMAGE, CHRONIC INFECTIONS, AND
15 ULTIMATELY CAN LEAD TO LUNG FAILURE IN ADDITION TO
16 OTHER COMPLICATIONS. THERE ARE SOME DRUGS THAT CAN
17 PROVIDE BENEFIT, BUT THEY DON'T WORK FOR ALL
18 PATIENTS. AND MANY OF THE NONRESPONDERS ARE ETHNIC
19 MINORITIES.

20 SO THE PROPOSED THERAPY, HOW COULD IT
21 IMPROVE THINGS? SO THE TREATMENT COULD PROVIDE
22 STABLE RESTORATION OF THE CYSTIC FIBROSIS GENE IN
23 THE AIRWAY, AND THIS COULD POTENTIALLY IMPROVE THE
24 QUALITY OF LIFE FOR PEOPLE WITH CYSTIC FIBROSIS.
25 AND HOW IT'S RELEVANT TO CIRM, THIS THERAPY ITSELF

BETH C. DRAIN, CA CSR NO. 7152

1 IS COMPOSED OF AUTOLOGOUS GENE-CORRECTED STEM CELLS.

2 CIRM PORTFOLIO PROJECTS, SO CIRM DOES NOT
3 CURRENTLY HAVE ANY ACTIVE TRANSLATIONAL OR CLINICAL
4 AWARDS ADDRESSING CYSTIC FIBROSIS.

5 FUNDING TO THE APPLICANT TEAM. SO THE
6 APPLICANT TEAM HAS RECEIVED SEVERAL CIRM AWARDS
7 PRIOR. IT HAS RECEIVED ONE DISCOVERY STAGE AWARD
8 THAT IS DIRECTLY RELATED TO THE CURRENT APPLICATION
9 UNDER CONSIDERATION. AND THEY ALSO HAVE TWO ACTIVE
10 CLINICAL TRIAL AWARDS AND AN ACTIVE ALPHA STEM CELL
11 CLINIC AWARD.

12 SO WITH THAT, TO SUMMARIZE, THE GRANTS
13 WORKING GROUP HAS RECOMMENDED THIS APPLICATION
14 UNANIMOUSLY FOR FUNDING WITH A SCIENTIFIC SCORE OF
15 1. AND THE BOARD MEMBERS HAVE GIVEN THIS
16 APPLICATION A DEI SCORE OF 9. AND THE CIRM TEAM
17 CONCURS WITH THE GWG RECOMMENDATION TO FUND THIS
18 APPLICATION FOR THE TOTAL AMOUNT OF 6 MILLION.

19 ALL RIGHT. BACK TO YOU, VITO.

20 CHAIRMAN IMBASCIANI: THANKS, HAYLEY. WE
21 WERE ON MUTE. THANKS FOR THE PRESENTATION AND FOR
22 THE REVIEW OF THIS APPLICATION.

23 I WOULD NEED A MOTION FROM A BOARD MEMBER
24 FOR DISCUSSION ON THIS.

25 DR. SOUTHARD: MARV SOUTHARD MOVES

BETH C. DRAIN, CA CSR NO. 7152

1 DISCUSSION.

2 CHAIRMAN IMBASCIANI: THANK YOU, MARV.

3 DR. CLARK-HARVEY: LEONDRA CLARK-HARVEY,
4 SECOND.

5 CHAIRMAN IMBASCIANI: MARV, THAT'S A
6 MOTION TO APPROVE IT FOR FUNDING, CORRECT?

7 DR. SOUTHARD: CORRECT.

8 CHAIRMAN IMBASCIANI: OKAY. COMMENTS FROM
9 BOARD MEMBERS ON THIS APPLICATION PLEASE.

10 MS. MORALEZ: THERE'S NO HANDS RAISED.

11 CHAIRMAN IMBASCIANI: NO HANDS ARE RAISED.
12 OKAY. GREAT. THANK YOU. ARE THERE ANY MEMBERS OF
13 THE PUBLIC WHO WANT TO COMMENT ON THIS APPLICATION?

14 MS. MORALEZ: THERE ARE NO HANDS RAISED.

15 CHAIRMAN IMBASCIANI: OKAY. THEN I THINK
16 WE'RE FREE TO PROCEED TO THE ROLL CALL VOTE. THANK
17 YOU.

18 MR. HUANG: DAN BERNAL.

19 MR. BERNAL: AYE.

20 MR. HUANG: JUDY CHOU.

21 DR. CHOU: AYE.

22 MR. HUANG: LEONDRA CLARK-HARVEY.

23 DR. CLARK-HARVEY: AYE.

24 MR. HUANG: ANNE-MARIE DULIEGE. MARK
25 FISCHER-COLBRIE.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. FISCHER-COLBRIE: YES.
2 MR. HUANG: FRED FISHER.
3 DR. FISHER: YES.
4 MR. HUANG: ELENA FLOWERS.
5 DR. FLOWERS: YES.
6 MR. HUANG: DAVID HIGGINS.
7 DR. HIGGINS: YES.
8 MR. HUANG: VITO IMBASCIANI.
9 CHAIRMAN IMBASCIANI: YES.
10 MR. HUANG: RICH LAJARA.
11 MR. LAJARA: YES.
12 MR. HUANG: LAUREN MILLER-ROGEN.
13 MS. MILLER-ROGEN: YES.
14 MR. HUANG: ADRIANA PADILLA.
15 DR. PADILLA: YES.
16 MR. HUANG: JOE PANETTA.
17 MR. PANETTA: YES.
18 MR. HUANG: MARVIN SOUTHARD.
19 DR. SOUTHARD: YES.
20 MR. HUANG: KAROL WATSON. KEVIN XU.
21 DR. XU: YES.
22 MR. HUANG: THE MOTION PASSES.
23 CHAIRMAN IMBASCIANI: THANK YOU, BEN.
24 HAYLEY, I'LL CEDE BACK TO YOU FOR
25 PRESENTATION OF THE NEXT APPLICATION.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. LAM: SURE. THANK YOU. SO THE NEXT
2 APPLICATION HAS A CONFLICT WITH KAROL WATSON FOR
3 CLIN1-15399. SO THE TITLE OF THIS APPLICATION IS
4 "DEVELOPMENT OF A THERAPEUTIC MONOCLONAL ANTIBODY
5 FOR THE TREATMENT OF MYOCARDIAL INFARCTION IN HEART
6 FAILURE."

7 SO THE THERAPY IS A MONOCLONAL ANTIBODY
8 FOR HEART DISEASE. AND THE GOAL OF THIS PROJECT IS
9 AN IND FILING. THE FUNDS REQUESTED ARE JUST UNDER 6
10 MILLION WITH NO CO-FUNDING, AND NONE IS REQUIRED FOR
11 THIS APPLICATION. AND, AGAIN, THIS IS ALSO A
12 CALIFORNIA ORGANIZATION.

13 A LITTLE BIT ABOUT THIS PROJECT. SO HEART
14 DISEASE IS THE LEADING CAUSE OF DEATH GLOBALLY.
15 AFTER THE HEART ATTACK, THE BODY TRIES TO REPAIR THE
16 DAMAGED AREA, BUT WITH SCAR TISSUE. AND THE SCAR
17 TISSUE STRESSES THE REMAINING HEART MUSCLE WHICH
18 OVER TIME CAN LEAD TO HEART FAILURE. SO THE CURRENT
19 STANDARDS OF CARE FOR HEART DISEASE DOES NOT ADDRESS
20 THE COMPLICATIONS OF THE SCAR TISSUE OR ENHANCE ANY
21 OF THE CARDIAC REPAIR.

22 SO THE PROPOSITION OF THIS PROPOSED
23 THERAPY IS A ONE-TIME TREATMENT OF A DRUG THAT COULD
24 POTENTIALLY ENHANCE THE REPAIR AND/OR DECREASE THE
25 FIBROSIS OR, RATHER, THE SCAR TISSUE THAT FORMS

BETH C. DRAIN, CA CSR NO. 7152

1 AFTER A HEART ATTACK AND WOULD POTENTIALLY BE A
2 SIGNIFICANT ADVANCEMENT OVER THE STANDARD OF CARE.

3 AND HOW THIS PROJECT IS RELEVANT TO CIRM
4 IS THAT THE THERAPY TARGETS THE SCAR FORMING
5 PROGENITOR CELLS.

6 SIMILAR CIRM PORTFOLIO PROJECTS, THERE'S
7 ANOTHER CLIN1 THAT IS ACTIVE RIGHT NOW USING A
8 DIFFERENT TYPE OF CANDIDATE. SO THESE ARE THE
9 VESICLES DERIVED FROM CARDIAC-DERIVED CELLS. AND
10 THE SECOND PROJECT, I JUST NOTICED THIS, BY THE WAY.
11 I APOLOGIZE. THIS IS A TYPO. THE SECOND PROJECT IN
12 OUR PORTFOLIO IS A CLIN2. THIS A CLINICAL TRIAL
13 PHASE PROJECT ALSO FOR HEART FAILURE, BUT USING
14 HUMAN EMBRYONIC STEM CELL-DERIVED CARDIOMYOCYTES.

15 PRIOR FUNDING TO THE APPLICANT TEAM. SO
16 THIS APPLICANT TEAM HAS RECEIVED TWO PRIOR AWARDS
17 THAT ARE DIRECTLY RELATED TO THE CURRENT APPLICATION
18 UNDER DISCUSSION. SO THESE WERE DISCOVERY AND
19 TRANSLATIONAL PROJECTS THAT LED DIRECTLY TO THIS
20 CLIN1 THAT IS BEFORE YOU TODAY.

21 SO FINALLY, THE CLIN1-15399 WAS
22 RECOMMENDED FOR FUNDING BY THE GRANTS WORKING GROUP
23 WITH A UNANIMOUS SCORE OF 1 AND A DEI SCORE OF 10
24 FROM THE BOARD MEMBERS, AND THE CIRM TEAM CONCURS
25 WITH THE ABOVE RECOMMENDATION FOR A TOTAL AWARD

BETH C. DRAIN, CA CSR NO. 7152

1 AMOUNT OF \$5,999,998. THANK YOU.

2 CHAIRMAN IMBASCIANI: THANK YOU. THANK
3 YOU AGAIN, HAYLEY.

4 I'D LIKE TO HEAR A MOTION TO ACCEPT THE
5 RECOMMENDATION TO FUND.

6 VICE CHAIR BONNEVILLE: SO MOVED.

7 CHAIRMAN IMBASCIANI: THANK YOU, MARIA.

8 DR. SOUTHARD: SECOND.

9 CHAIRMAN IMBASCIANI: THANK YOU, MARV.

10 DISCUSSION FROM BOARD MEMBERS ON THIS
11 APPLICATION.

12 MS. DURON: SORRY. I'M TRYING TO PUT MY
13 HAND UP.

14 CHAIRMAN IMBASCIANI: I CAN HEAR YOU.

15 MS. DURON: MY QUESTION, I DON'T KNOW IF
16 IT'S TO HAYLEY, BUT REMIND ME BECAUSE I POSSIBLY
17 HAVE FORGOTTEN THIS FROM A LONG TIME AGO. WHAT IS
18 THE REQUIREMENT OR HOW DO YOU MEASURE THOSE WHO
19 AREN'T REQUIRED TO HAVE CO-FUNDING AND THOSE WHO DO?
20 IT STRIKES ME IN SOME WAYS THAT PEOPLE WHO BRING
21 CO-FUNDING WITH THEM CAN HELP STRETCH OUR DOLLARS
22 AND SHOWS A REAL COMMITMENT. THAT MAY BE A WRONG
23 QUESTION OR I'M POORLY INFORMED, BUT JUST REMIND ME
24 AGAIN WHY.

25 DR. LAM: SO IT'S DEPENDENT LARGELY ON THE

BETH C. DRAIN, CA CSR NO. 7152

1 APPLICANT TYPE. SO NONPROFIT ORGANIZATIONS ARE NOT
2 REQUIRED TO HAVE CO-FUNDING FOR THE CLIN1 STAGE AT
3 THIS TIME. THAT BEING SAID, OBVIOUSLY IT DOESN'T
4 MEAN THAT THEY CAN'T HAVE CO-FUNDING. IT'S JUST NOT
5 REQUIRED.

6 MS. DURON: RIGHT. AND I WOULD ARGUE THAT
7 MOST OF THE NONPROFITS, I THINK, WHO APPLY ARE
8 FAIRLY LARGE AND HAVE THEIR OWN BIG FUNDING. THESE
9 ARE NOT SMALL, LITTLE ORGANIZATIONS. SO IT'S JUST
10 CURIOUS BECAUSE, LIKE I SAID, WE NEED TO STRETCH OUR
11 DOLLARS. AND SO, ANYWAY, IT'S A THOUGHT. AND I
12 DON'T KNOW IF WE HAVE MORE TIME TO HAVE A
13 CONVERSATION AROUND IT AT SOME POINT IN TIME, BUT I
14 LEAVE IT OPEN FOR DISCUSSION MAYBE AT OUR JUNE
15 MEETING. THANK YOU.

16 CHAIRMAN IMBASCIANI: OKAY. I DO NOT SEE
17 ANY OTHER HANDS FROM BOARD MEMBERS. I'M GOING TO
18 OPEN IT UP FOR COMMENT FROM THE MEMBERS OF THE
19 PUBLIC WHO WANT TO DISCUSS OR MAKE A COMMENT ON THIS
20 APPLICATION, 15399. IT'S A HEART FAILURE.

21 MS. MORALEZ: THERE ARE NO HANDS RAISED.

22 CHAIRMAN IMBASCIANI: THERE ARE NO HANDS
23 RAISED. THANK YOU SO MUCH.

24 BEN, IF YOU'D DO THE HONORS.

25 MR. HUANG: YES. THIS IS A MOTION TO

BETH C. DRAIN, CA CSR NO. 7152

1 APPROVE CLIN1-15399 FOR FUNDING.
2 DAN BERNAL.
3 MR. BERNAL: AYE.
4 MR. HUANG: MARIA BONNEVILLE.
5 VICE CHAIR BONNEVILLE: YES.
6 MR. HUANG: JUDY CHOU.
7 DR. CHOU: YES.
8 MR. HUANG: LEONDR A CLARK-HARVEY.
9 DR. CLARK-HARVEY: AYE.
10 MR. HUANG: ANNE-MARIE DULIEGE. YSABEL
11 DURON.
12 MS. DURON: YES.
13 MR. HUANG: MARK FISCHER-COLBRIE.
14 MR. FISCHER-COLBRIE: YES.
15 MR. HUANG: FRED FISHER.
16 DR. FISHER: YES.
17 MR. HUANG: ELENA FLOWERS.
18 DR. FLOWERS: YES.
19 MR. HUANG: DAVID HIGGINS.
20 DR. HIGGINS: YES.
21 MR. HUANG: VITO IMBASCIANI.
22 CHAIRMAN IMBASCIANI: YES.
23 MR. HUANG: STEVE JUELGAARD.
24 MR. JUELGAARD: YES.
25 MR. HUANG: RICH LAJARA.

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MR. LAJARA: YES.
MR. HUANG: LAUREN MILLER-ROGEN.
MS. MILLER-ROGEN: YES.
MR. HUANG: ADRIANA PADILLA.
DR. PADILLA: YES.
MR. HUANG: JOE PANETTA.
MR. PANETTA: YES.
MR. HUANG: MARVIN SOUTHARD.
DR. SOUTHARD: YES.
MR. HUANG: KEVIN XU.
DR. XU: YES.
MR. HUANG: THE MOTION PASSES.
CHAIRMAN IMBASCIANI: MOTION PASSES.

THANK YOU, BEN. OKAY.

WE'RE GOING TO MOVE TO THE NEXT ORDER OF BUSINESS. THERE ARE 24 APPLICATIONS BEFORE US SUBMITTED IN CONSIDERATION -- IN RESPONSE, I'M SORRY, TO THE TRANSLATIONAL PROJECTS PROGRAM ANNOUNCEMENT. THESE ARE APPLICATIONS IN THE TRAN CATEGORY. AND I'M GOING TO ALLOW -- LET'S SEE. GIL, WHERE ARE YOU? GIL IS GOING --

DR. SAMBRANO: I'M HERE.

CHAIRMAN IMBASCIANI: THANK YOU.

DR. SAMBRANO: SO LET ME JUST QUICKLY PUT THIS IN PRESENTATION MODE. GOOD MORNING, EVERYONE.

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

THANK YOU FOR YOUR ATTENTION TO THIS.
I'M GOING TO TAKE YOU THROUGH SOME
BACKGROUND ON THE TRAN PROGRAM AS WELL AS GIVE YOU
AN EXPLANATION OF THE RECOMMENDATION FROM THE GRANTS
WORKING GROUP AS WELL AS CIRM ON THESE APPLICATIONS.
AS ALWAYS, WE START WITH OUR MISSION,

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

TO ACCELERATE WORLD-CLASS SCIENCE TO DELIVER
TRANSFORMATIVE REGENERATIVE MEDICINE TREATMENTS IN
AN EQUITABLE MANNER TO A DIVERSE CALIFORNIA AND
WORLD.

BETH C. DRAIN, CA CSR NO. 7152

1 AND AS I TYPICALLY MENTION, THIS IS
2 SOMETHING THAT WE CARRY FORWARD IN OUR GRANTS
3 WORKING GROUP MEETINGS AS WELL SO THAT WE ENSURE
4 THAT EVERYONE IS ON THE SAME PAGE AS WE ENGAGE IN
5 THESE DISCUSSIONS.

6 THE TRANSLATION PROGRAM FALLS RIGHT IN
7 BETWEEN DISCOVERY AND CLINICAL PROGRAMS THAT WE
8 SUPPORT. THE IDEA IS TO TAKE SINGLE PRODUCT
9 CANDIDATES THAT ARE DEVELOPED EITHER THROUGH CIRM
10 FUNDING OR OTHER SOURCES, TAKE THEM THROUGH KEY
11 TRANSLATIONAL STUDIES, AND HAVE THEM BE READY TO
12 BEGIN THEIR PRE-IND OR IND-ENABLING WORK.

13 THE PROGRAM SUPPORTS FOUR DIFFERENT
14 PRODUCT TYPES. SO WE CAN HAVE PRODUCTS THAT ARE A
15 THERAPEUTIC, A CELL THERAPY OR GENE THERAPY OR SMALL
16 MOLECULE, FOR EXAMPLE, A DIAGNOSTIC OR A MEDICAL
17 DEVICE OR A TOOL, WHICH CAN BE A RESEARCH TOOL OR A
18 CLINICAL TOOL. EACH OF THESE PRODUCTS HAVE
19 DIFFERENT REQUIREMENTS. AND, THEREFORE, THE TIME
20 ALLOTTED OR ALLOWED FOR THEM TO DEVELOP THEIR
21 TRANSLATIONAL STUDIES VARIES FROM 24 TO 30 MONTHS.
22 AND THE AMOUNT OF FUNDING THAT'S PROVIDED ALSO
23 VARIES BASED ON THE PRODUCT TYPE.

24 THE GOAL BEHIND ALL OF THESE IS TO GET TO
25 A COMPLETED PRE-IND OR OTHER PRESUBMISSION MEETING

BETH C. DRAIN, CA CSR NO. 7152

1 WITH THE FDA IF THEY FOLLOW A REGULATORY PATH, OR
2 FOR A TOOL TO GET TO A POINT WHERE THEY HAVE THE
3 ABILITY TO TRANSFER THEIR DESIGN TO A MANUFACTURER
4 AND MAKE THEIR TOOL AVAILABLE BROADLY. ALL OF THE
5 PROJECTS THAT COME IN NEED TO BE AT A STATE OF
6 READINESS WHERE THEY HAVE A CLEAR CANDIDATE THAT CAN
7 MOVE THROUGH THESE TRANSLATIONAL STUDIES TO GET TO
8 THAT GOAL.

9 THE SCIENTIFIC REVIEW CRITERIA THAT ARE
10 USED BY THE GRANTS WORKING GROUP TO ASSESS THESE
11 APPLICATIONS ARE SIMILAR TO WHAT HAYLEY PRESENTED
12 WITH THE CLIN PROGRAM. DOES THE PROJECT HOLD THE
13 NECESSARY SIGNIFICANCE AND POTENTIAL FOR IMPACT?
14 DOES IT HAVE A GOOD RATIONALE? IS IT WELL PLANNED
15 AND DESIGNED? IS IT FEASIBLE? AND DOES THE PROJECT
16 UPHOLD THE PRINCIPLES OF DIVERSITY, EQUITY, AND
17 INCLUSION?

18 THE SCORING IS A LITTLE DIFFERENT HERE
19 THOUGH. THE SCORING IS ON A SCALE OF 1 TO 100. IF
20 AN APPLICATION RECEIVES A SCORE OF 85 TO 100, THE
21 APPLICATION IS DEEMED TO HAVE EXCEPTIONAL MERIT AND
22 WARRANTS FUNDING. A SCORE BETWEEN 1 AND 84, THE
23 APPLICATION IS NOT RECOMMENDED FOR FUNDING.
24 HOWEVER, IF IT RECEIVES A SCORE BETWEEN 80 AND 84,
25 THE APPLICATION IS NOT RECOMMENDED FOR FUNDING, BUT

BETH C. DRAIN, CA CSR NO. 7152

1 MAY SKIP THROUGH THE POSITIVE SELECTION PROCESS.
2 AND WE HAVE ANOTHER TYPO HERE. IT WILL BE ACTUALLY
3 FOR THE NEXT OR FUTURE TRAN COMPETITION. I'LL SPEAK
4 A LITTLE BIT MORE ABOUT POSITIVE SELECTION IN JUST A
5 SECOND.

6 OF COURSE, APPLICATIONS RECEIVING ANY
7 SCORE CAN REVISE AND RESUBMIT IN FUTURE TRAN
8 COMPETITIONS.

9 ONE OF THE OTHER THINGS THAT WE'VE
10 IMPLEMENTED INTO THE TRAN REVIEWS IS THE DEI
11 SCORING. SO IN THE LAST COUPLE OF GRANT CYCLES,
12 WE'VE INCLUDED THE DEI SCORING SIMILAR TO WHAT WE DO
13 WITH THE CLINICAL PROGRAM, USING THE SAME DEI SCORE
14 SCALE OF ZERO TO TEN. AND A VERY SIMILAR RUBRIC
15 THAT WE PROVIDE TO OUR BOARD MEMBERS TO HELP
16 GUIDE --

17 MS. MANDAC: WE LOST GIL. WE JUST LOST
18 CONNECTION. LET'S TRY TO GET HIM BACK.

19 (PAUSE IN PROCEEDINGS DUE TO
20 TECHNICAL DIFFICULTY.)

21 MS. MANDAC: HAYLEY, IF YOU'RE
22 COMFORTABLE.

23 DR. LAM: YES. I JUST WANT TO CONFIRM HE
24 WAS ON -- WHICH SLIDE HE WAS ON.

25 MS. DURON: HE WAS TALKING ON DEI RUBRIC.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. LAM: THANK YOU. THAT'S WHAT I
2 THOUGHT, BUT I WASN'T A HUNDRED PERCENT SURE. HERE
3 WE GO.

4 MS. DURON: I ALWAYS REMEMBER THAT ONE.

5 DR. LAM: LET'S SEE. HOLD ON.

6 MS. MANDAC: I'LL SEND YOU A SLIDE DECK
7 JUST IN CASE, HAYLEY.

8 DR. LAM: NO. I HAVE IT. I JUST NEED TO
9 GET IT TO FULL SCREEN MODE. HERE WE GO. THANKS FOR
10 YOUR PATIENCE HERE. ALL RIGHT.

11 DEI SCORING. SO HOPEFULLY THIS IS
12 FAMILIAR AND GIL WAS JUST TALKING THROUGH IT. THE
13 SCORING SYSTEM IS A ZERO TO TEN WITH A SIMILAR
14 RUBRIC TO THE CLINICAL PROGRAM. AND THE MEDIAN OF
15 ALL THE SCORES DETERMINES THE FINAL DEI SCORING.

16 AND THE COMPOSITION OF THE GROUP THAT
17 RECOMMENDS THESE APPLICATIONS, AGAIN, IS A GROUP OF
18 UP TO 15 SCIENTIFIC GRANTS WORKING GROUP MEMBERS
19 THAT SCORES ALL THE SCIENTIFIC -- PROVIDES A
20 SCIENTIFIC SCORE FOR ALL APPLICATIONS, THE BOARD
21 MEMBERS WHO PROVIDE A DEI SCORE ON ALL APPLICATIONS,
22 AND AD HOC SPECIALISTS THAT COME ON AND PROVIDE
23 INITIAL SCORING, BUT NOT FINAL SCORES, IN AREAS OF
24 EXPERTISE AS NEEDED FOR INDIVIDUAL APPLICATIONS.

25 A NOTE ABOUT THE TRANSLATIONAL PROGRAM.

BETH C. DRAIN, CA CSR NO. 7152

1 SO WHEN WE HAVE MORE APPLICATIONS SUBMITTED THAN WE
2 CAN REVIEW IN A GIVEN PANEL, WE CONDUCT WHAT WE CALL
3 POSITIVE SELECTION. SO IN THIS STAGE ALL OF THE
4 GRANTS WORKING GROUP MEMBERS, INCLUDING THE BOARD
5 MEMBERS, CONDUCT A QUICK PREREVIEW OF THE ENTIRE
6 APPLICANT POOL THAT IS SUBMITTED AND SELECT
7 INDIVIDUAL ONES TO ADVANCE TO THE FULL DISCUSSION
8 AND REVIEW.

9 AFTER THAT HAPPENS, THE CIRM PRESIDENT AND
10 CIRM STAFF WILL ALSO LOOK AT THE REMAINING
11 APPLICATIONS TO DETERMINE IF ANY MERIT A FULL
12 REVIEW, AND THE REMAINDER OF APPLICATIONS ARE NOT
13 CONSIDERED FURTHER.

14 SO IN THIS PARTICULAR ROUND, A TOTAL OF 50
15 APPLICATIONS WERE SUBMITTED, AND A TOTAL OF 29
16 APPLICATIONS ADVANCED TO THE FULL REVIEW STAGE BY
17 THE GRANTS WORKING GROUP.

18 SO TO SUMMARIZE THE GRANTS WORKING GROUP
19 RECOMMENDATIONS, THE TOTAL NUMBER OF APPLICATIONS
20 RECOMMENDED FOR FUNDING WITH A SCORE OF 85 TO 100
21 NUMBERED IN 16 WITH A TOTAL APPLICANT REQUEST OF
22 JUST UNDER 60 OR JUST OVER, RATHER, 69 MILLION WITH
23 42 MILLION IN FUNDS AVAILABLE. AND THERE ARE 11
24 APPLICATIONS THAT WERE NOT RECOMMENDED FOR FUNDING.

25 SO FOR EACH AWARD, THE FINAL AWARD AMOUNT

BETH C. DRAIN, CA CSR NO. 7152

1 WILL NOT EXCEED THE AMOUNT APPROVED BY THIS GROUP
2 TODAY.

3 A NOTE BASED UNDER PROP 14, WE HAVE
4 SOMETHING CALLED MINORITY REPORTS. SO ANY
5 APPLICATION THAT IS NOT RECOMMENDED FOR FUNDING, BUT
6 HAVE 35 PERCENT OR MORE OF THE PANEL RECOMMEND THAT
7 APPLICATION WILL INCLUDE A MINORITY REPORT. AND
8 THIS IS PART OF THE REVIEW SUMMARIES THAT YOU HAVE
9 BEFORE YOU, AND THIS PROVIDES SORT OF A SYNOPSIS OF
10 THE OPINION OF THE REVIEWERS WHO RECOMMENDED THE
11 APPLICATION FOR FUNDING.

12 SO IN THIS COHORT, THERE WAS ONE
13 APPLICATION WITH A MINORITY REPORT, TRAN1-16158, AND
14 IT ULTIMATELY RECEIVED A SCORE OF 84 WITH REQUESTED
15 FUNDS OF JUST OVER 3 MILLION. AND THE CIRM TEAM IN
16 THIS CASE SUPPORTS THE MAJORITY POSITION TO NOT FUND
17 THIS APPLICATION FOR THIS CYCLE AND RECOMMENDS THE
18 APPLICANT RESUBMIT IN THE NEXT TRANSLATIONAL CYCLE.

19 SO THE BOARD MEMBERS WITH CONFLICTS OF
20 INTEREST FOR THE TRANSLATIONAL APPLICATIONS. SO AS
21 ALL OF THE APPLICATIONS FOR THE TRANSLATIONAL
22 PROGRAM ARE CONSIDERED TOGETHER, ANYBODY WITH A
23 CONFLICT WITH ANY SINGLE APPLICATION IS CONFLICTED.
24 SO THE LIST FOR THIS PARTICULAR GROUP IS MARIA
25 BONNEVILLE, YSABEL DURON, STEVE JUELSGAARD, AND

BETH C. DRAIN, CA CSR NO. 7152

1 KAROL WATSON.

2 I THINK I NEED TO SHARE THE OTHER FILE
3 WHICH MAY TAKE A MOMENT OR IF SOMEBODY HAS IT READY
4 AND CAN SHARE IT AS WELL. SO THIS IS THE GRID WITH
5 ALL OF THE APPLICATIONS RECOMMENDED IN GREEN.

6 AND SO BECAUSE THE TOTAL NUMBER OF
7 APPLICATIONS IN THIS ROUND THAT WERE RECOMMENDED BY
8 THE GRANTS WORKING GROUP EXCEEDS THE BUDGET THAT IS
9 ALLOCATED FOR THE TRANSLATIONAL AWARDS IN THIS
10 FISCAL YEAR, THE CIRM TEAM HAS MADE THE FOLLOWING
11 RECOMMENDATIONS FOR FUNDING TO THE APPLICATION
12 REVIEW SUBCOMMITTEE. SO AS YOU CAN SEE, THE GREEN
13 ONES ARE THE RECOMMENDATIONS FOR FUNDING. AND
14 ESSENTIALLY IT WAS BASED ON THE FUNDS THAT WE HAD.
15 AND THE LINE WAS DRAWN HERE AT TRAN 16192. AND THEN
16 THE ONLY REMAINING APPLICATION THAT COULD FIT IN THE
17 REMAINING FUNDS AVAILABLE WAS, LET'S SEE, 16091.

18 AND SO WITH THAT, WE WOULD BE ABLE TO FUND
19 THE MOST NUMBER OF APPLICATIONS IN THIS ROUND WITH
20 THE HIGHEST MEDIAN SCORE. SO THAT IS THE
21 RECOMMENDATION THAT THE CIRM TEAM HAS PUT FORTH TO
22 THE APPLICATION REVIEW SUBCOMMITTEE TODAY.

23 DR. SAMBRANO: I'M BACK. I HAVE NO IDEA
24 WHAT HAPPENED. BUT THANKS FOR PRESENTING, HAYLEY.
25 I DON'T KNOW IF YOU TALKED ABOUT THE APPLICATION

BETH C. DRAIN, CA CSR NO. 7152

1 THAT'S AT THE BOTTOM OF THE LIST, WHICH I THINK IS
2 IMPORTANT TO HIGHLIGHT, WHICH IS 16262. SO THAT ONE
3 IS THE ONLY ONE THAT IS SORT OF OUT OF ORDER IN
4 TERMS OF THE SCIENTIFIC RANKING. THAT ONE RECEIVED
5 A SCORE OF 86; HOWEVER, IT GOT A VERY LOW DEI SCORE
6 OF 5. AND SO TYPICALLY THE BOARD MEMBERS ARE
7 SCORING THE DEI SUCH THAT ANYTHING BELOW A 6 IS
8 STRONGLY FELT TO BE ONE THAT NEEDS TO FIX THEIR DEI
9 AND RESUBMIT. AND SO, THEREFORE, THAT'S WHY WE PUT
10 THAT OUT OF ORDER AND PUT THAT IN THE BOTTOM OF THE
11 STILL OVERALL SCIENTIFICALLY RECOMMENDED
12 APPLICATIONS.

13 THE OTHER THING TO NOTE IS THAT THE BASIS
14 FOR OUR RECOMMENDATION, I THINK HAYLEY PROBABLY
15 ALREADY STATED THIS, IS BASED ON THE SCIENTIFIC RANK
16 OF THE APPLICATIONS. SO IT IS GOING THROUGH THE TOP
17 NINE. ONCE YOU GET TO THE TOP NINE, WE CAN'T FUND
18 ANYTHING ELSE IN ADDITION TO THAT UNTIL YOU SKIP
19 OVER TO THE ONE APPLICATION THAT WAS MENTIONED. AND
20 SO THAT IS THE BASIS FOR THE RECOMMENDATION.

21 THE OTHER THING I WANT TO NOTE IS THAT ALL
22 OF THE APPLICATIONS THAT DON'T GET FUNDED HAVE THE
23 OPPORTUNITY TO SUBMIT AGAIN. SO THE NEXT
24 APPLICATION DEADLINE IS IN JULY, SO IT'S BASICALLY
25 JUST AROUND THE CORNER. SO APPLICATIONS THAT END UP

BETH C. DRAIN, CA CSR NO. 7152

1 NOT GETTING FUNDED WILL SKIP OVER THE POSITIVE
2 SELECTION PROCESS AND WILL BE ABLE TO IF THEY
3 RECEIVED A FUNDING RECOMMENDATION. SO THE GRANTS
4 WORKING GROUP WILL ALSO, OF COURSE, KNOW THAT THESE
5 WERE PREVIOUSLY RECOMMENDED AND, THEREFORE, HAVE A
6 HIGH LIKELIHOOD OF BEING AMONG THE RECOMMENDED GROUP
7 AGAIN. SO THAT IS IMPORTANT TO KNOW AS YOU GET INTO
8 YOUR DELIBERATION OF THESE APPLICATIONS. SO I THINK
9 THAT IS IT FROM MY END.

10 CHAIRMAN IMBASCIANI: THANK YOU, GIL, AND
11 THANK YOU, HAYLEY, FOR DOING YEOMAN'S SERVICE IN
12 GIL'S ABSENCE. WE ALL BENEFITED FROM THE
13 EXPLANATION OF WHY SOME OF THE APPLICATIONS
14 RECOMMENDED, THERE'S A GAP BETWEEN THE NINE AND THE
15 ONE.

16 SO I'M GOING TO OPEN THE FLOOR. THIS IS
17 WHAT'S GOING TO HAPPEN. I'M GOING TO ASK FOR A
18 MOTION AND FOLLOW THAT BY COMMENT FROM THE BOARD.
19 IT'S OUR USUAL MANNER. AND THEN FOLLOW THAT BY
20 PUBLIC COMMENT. I SAW A HAND THERE. DAVID, WHERE
21 ARE YOU?

22 DR. FISHER: MOTION TO APPROVE THE GREEN
23 FUNDED LIST.

24 CHAIRMAN IMBASCIANI: SORRY, FRED. DAVID,
25 I RECOGNIZED YOU FIRST AND YOU WERE ON MUTE.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. HIGGINS: YES. I AM OFF MUTE NOW. I
2 DON'T HAVE VIDEO, BUT I HAVE AUDIO. SO MAY I SPEAK?
3 I DON'T WANT TO EDGE ANYBODY OUT.

4 I THINK WHAT I'M WITNESSING HERE IS WE'RE
5 STRUGGLING WITH WE'VE GOT MORE FUNDABLE GRANTS THAN
6 WE'VE GOT MONEY TO FUND THEM FOR THIS PARTICULAR
7 CYCLE. SO WE'RE GOING TO KICK THE CAN DOWN THE ROAD
8 A LITTLE BIT UNTIL JULY.

9 MY FIRST COMMENT IS IS THAT CORRECT? AND
10 THEN I'D LIKE TO FOLLOW UP ON THAT. DID I SAY THAT
11 CORRECTLY?

12 DR. SAMBRANO: SO THE RECOMMENDATION IS TO
13 FUND THE ONES THAT ARE IN THE BRIGHT GREEN BECAUSE,
14 AS YOU SAID AND YOU ARE CORRECT, THAT WE CAN ONLY
15 FUND A LIMITED AMOUNT OF WHAT'S RECOMMENDED BECAUSE
16 OUR BUDGET DOESN'T OTHERWISE ALLOW IT. IN JULY
17 THERE IS ANOTHER APPLICATION DEADLINE. SO THOSE
18 THAT DO NOT GET FUNDED HAVE THE OPPORTUNITY TO COME
19 BACK, MEANING THEY CAN RESUBMIT THEIR APPLICATION.
20 BUT GIVEN WHERE THEY ARE ALREADY, THE GRANTS WORKING
21 GROUP IS AWARE OF THE VALUE OF THESE APPLICATIONS.
22 SO I THINK THEY ARE LIKELY TO SCORE HIGH AGAIN WHERE
23 THEY ARE LIKELY TO BE RECOMMENDED. SO IN JULY THOSE
24 THAT DON'T GET FUNDED CAN RE-APPLY.

25 DR. HIGGINS: SO HAVING SAID THAT, GIL,

BETH C. DRAIN, CA CSR NO. 7152

1 THANK YOU FOR THIS SUMMARY. AS I OBSERVE IT, I
2 THINK WE'RE DEVIATING A LITTLE BIT FROM OUR NORMAL
3 PATH TO APPROVING GRANTS. AND SO WHAT I'D LIKE TO
4 DO TO KEEP US CLEAN IS CONSIDER A MOTION THAT WOULD
5 SPECIFICALLY STATE THAT WE MOVE TO FUND THE
6 APPLICATIONS THAT HAVE BEEN RECOMMENDED BY CIRM
7 STAFF, BUT THAT WE SPECIFICALLY DON'T FUND THOSE
8 THAT ARE NOT RECOMMENDED BY CIRM STAFF. SO JUST TO
9 MAKE IT PERFECTLY CLEAR AS TO WHAT WE'RE DOING AND
10 WHY WE'RE DOING IT INTENTIONALLY. DOES THAT MAKE
11 SENSE? DOES THAT MATTER? IS THAT REDUNDANT?

12 MR. HUANG: I THINK THAT MAKES SENSE. IT
13 ALSO ALLOWS -- IF WE DO DAVID'S MOTION, IT ALLOWS
14 FOR ALL THE PUBLIC COMMENTS TO OCCUR AT ONCE BECAUSE
15 EVERY ONE -- ALL THE APPLICATIONS WOULD FALL UNDER
16 THAT ONE MOTION. SO I DO THINK IT'S SIMPLER
17 PROCESSWISE IF THE BOARD CONCURS.

18 CHAIRMAN IMBASCIANI: GOOD POINT. SO ONCE
19 AGAIN, I'M GOING TO RESTATE YOUR MOTION. I HAVEN'T
20 HEARD A SECOND YET. DAVID HIGGINS PROPOSES THAT WE
21 FUND EVERYTHING THAT THE CIRM INTERNAL TEAM
22 RECOMMENDED TO BE FUNDED AND NOT TO FUND THOSE THAT
23 WERE NOT RECOMMENDED FOR FUNDING BY THE SAME TEAM.

24 DR. FISHER: SECOND.

25 CHAIRMAN IMBASCIANI: FRED FISHER SECONDS.

BETH C. DRAIN, CA CSR NO. 7152

1 THE FLOOR IS NOW OPEN FOR DISCUSSION ON
2 THE MOTION FROM THE BOARD MEMBERS.

3 MS. MANDAC: JOE HAD HIS HAND RAISED.

4 CHAIRMAN IMBASCIANI: JOE.

5 MR. PANETTA: THANK YOU. I APOLOGIZE FOR
6 ALMOST HAVING LOST MY VOICE HERE. SO I HOPE YOU CAN
7 UNDERSTAND WHAT I'M SAYING.

8 SO JUST FOLLOWING ON TO WHAT DAVID WAS
9 SAYING, WHAT I'M THINKING ABOUT IS THAT IF WE PLACE
10 ALL OF THOSE OTHER APPLICATIONS THAT SCORED HIGH
11 ENOUGH TO BE FUNDED, BUT THAT WE DON'T HAVE THE
12 FUNDS TO BE ABLE TO MOVE ON, WHAT IS THE LIKELIHOOD
13 THAT WE'RE GOING TO END UP IN THE SAME POSITION NEXT
14 TIME AROUND WHERE WE'VE GOT MORE APPLICATIONS THAN
15 WE HAVE THE FUNDS TO BE ABLE TO FUND? DOES THIS
16 JUST CREATE THIS REPETITIVE CYCLE WHERE WE'RE
17 PUSHING THINGS DOWN THE ROAD?

18 DR. SAMBRANO: WELL, WE START A NEW BUDGET
19 YEAR IN JULY. AND TYPICALLY WE HAVE ENOUGH BUDGET
20 FOR TWO CYCLES. IT'S UNCLEAR HOW MANY APPLICATIONS
21 WE'RE GOING TO GET FOR THIS NEXT CYCLE; BUT IF THE
22 TREND CONTINUES, WE COULD BE IN A SIMILAR SITUATION
23 WHERE WE MAY NOT BE ABLE TO FUND EVERYTHING THAT
24 GETS RECOMMENDED.

25 THIS IS THE FIRST TIME THAT WE'VE HAD THIS

BETH C. DRAIN, CA CSR NO. 7152

1 MANY APPLICATIONS AND ALSO THE FIRST TIME THAT WE'VE
2 HAD TO FACE THIS ISSUE WHERE WE HAVE NOT SUFFICIENT
3 BUDGET TO DO SO. SO IT'S HARD TO KNOW, BUT IT IS
4 POSSIBLE THAT WE MAY FACE THE SAME SITUATION.

5 CHAIRMAN IMBASCIANI: OKAY. THANK YOU.
6 THANK YOU, JOE, AND YOUR BASSO PROFONDO VOICE. IT'S
7 COMING THROUGH PERFECTLY CLEAR.

8 LOOKING FOR OTHER BOARD MEMBER COMMENT ON
9 THE MOTION. HELP ME, LANA. I DON'T SEE THE ENTIRE
10 GALLERY PERHAPS.

11 MS. MANDAC: NO HANDS RAISED.

12 DR. CLARK-HARVEY: THIS IS LEONDRA. I
13 APOLOGIZE. I'M OFF CAMERA FOR THE MOMENT. POINT OF
14 ORDER. WAS THERE ANOTHER MOTION ON THE FLOOR? I
15 UNDERSTAND WE'RE DISCUSSING A PROPOSAL FROM MR.
16 HIGGINS, BUT WAS THERE ALREADY A MOTION ON THE
17 FLOOR? JUST TRYING TO GET CLEAR ON THAT
18 PROCEDURALLY.

19 MR. HUANG: FRED'S MOTION WAS NOT
20 SECONDED. SO --

21 CHAIRMAN IMBASCIANI: AND FRED SECONDED
22 DAVID'S MOTION. SO I DEDUCE FROM THAT THAT HIS
23 INTENTION WAS SUBSUMED UNDER DAVID'S.

24 DR. CLARK-HARVEY: THANK YOU FOR THE
25 CLARITY.

BETH C. DRAIN, CA CSR NO. 7152

1 CHAIRMAN IMBASCIANI: THANKS FOR
2 CLARIFYING WITH YOUR QUESTION, LEONDRA.

3 LISTEN, I'M GOING TO OPEN THE FLOOR NOW TO
4 PUBLIC COMMENT, BUT BOARD MEMBERS, OF COURSE, HAVE
5 THE OPPORTUNITY TO RAISE YOUR HAND AT ANY POINT
6 ALSO.

7 SO BECAUSE OF THE VOLUME OF PUBLIC
8 COMMENT, I'M GOING TO ASK THE MEMBERS OF THE PUBLIC
9 WHEN THEY USE THE MICROPHONE TO LIMIT THEMSELVES TO
10 TWO MINUTES. AND I'M GOING TO RELY ON --

11 MR. HUANG: SORRY. SO BECAUSE WE HAVE
12 PEOPLE, WE HAVE PEOPLE ONLINE AND WE HAVE PEOPLE IN
13 PERSON, I THINK WE'RE GOING TO MANAGE PUBLIC COMMENT
14 BY HANDLING THE FOLKS IN PERSON AT THE CIRM
15 HEADQUARTERS, AND THEN WE'LL TRANSITION TO THE
16 ONLINE PUBLIC COMMENTS.

17 SO CLAUDETTE WILL KEEP TIME, AND I'LL CALL
18 JUST IN ORDER OF SIGN-IN. MAX WEISS.

19 MS. MANDAC: MAX, YOU SHOULD SEE A TIMER
20 UP ON THE BOARD, SO IT WILL HELP YOU KEEP THE TIME.
21 LET ME KNOW WHEN YOU'D LIKE TO START.

22 CHAIRMAN IMBASCIANI: DO WE HAVE CAMERA ON
23 OUR SPEAKERS?

24 MS. MANDAC: YES.

25 MASTER WEISS: I CAN START NOW. I WOULD

BETH C. DRAIN, CA CSR NO. 7152

1 LIKE TO TALK ABOUT THE GRANT FOR THE FUNDING OF
2 GAUCHER. THIS DISEASE HAS AFFECTED ME PERSONALLY.
3 IT'S BEEN A BURDEN ON MY LIFE. EVERY TIME I HAVE TO
4 GO, I AM REMINDED THAT I WILL HAVE TO LIVE WITH THIS
5 FOR THE REST OF MY LIFE, THAT I MAY NOT BE ABLE TO
6 ACCOMPLISH EVERYTHING THAT I WANT TO BECAUSE OF THIS
7 DISEASE.

8 AND THIS CURE, IT'S IN ITS FINAL STAGES,
9 WOULD LIFT THIS BURDEN OFF MY SHOULDERS, ALLOW ME TO
10 DO WHAT I WISH TO DO, TO BE FREE TO ACCOMPLISH MY
11 GOALS, TO HELP THE WORLD.

12 I WOULD REALLY LIKE THIS TO BE FUNDED
13 BECAUSE THIS DISEASE HAS IMPACTED ME PERSONALLY AND
14 MANY OTHERS AS WELL. IF I WAS ABLE TO BE CURED FROM
15 THIS DISEASE, I WOULD BE ABLE TO DO SO MUCH MORE IN
16 MY LIFE THAN WHAT I CAN NOW BEING BURDENED BY MY
17 BIWEEKLY VISITS TO DELAY THE EFFECTS OF THIS
18 DISEASE. THANK YOU.

19 MR. HUANG: THANK YOU, MAX. THAT IS
20 APPLICATION 16026, AND IT IS IN THE FUNDING RANGE.

21 CHAIRMAN IMBASCIANI: THANK YOU FOR THAT.

22 MR. HUANG: NEXT SPEAKER IS DR. GOMEZ.
23 NO. OKAY. MR. WEISS.

24 MR. WEISS: DISTINGUISHED MEMBERS OF THE
25 BOARD, I'M MAX'S FATHER. AND WE HAVE SUFFERED

BETH C. DRAIN, CA CSR NO. 7152

1 THROUGH GAUCHER ALL OF MAX'S LIFE OBVIOUSLY. IT WAS
2 A DIFFICULT DECISION GIVEN WHAT'S GOING ON. IT WAS
3 A DIFFICULT DECISION TO GO THROUGH AND HAVE MAX.
4 HE'S A WONDER. AND THIS FUNDING OF DR. GOMEZ, SHE'S
5 AT THE LAST STAGE OF THIS PROJECT. THIS GOES TO
6 CLINICAL TRIALS AFTERWARDS. THERE'S NO SENSE GIVING
7 UP ON THIS PROJECT. WE NEED TO COMPLETE IT. THANK
8 YOU VERY MUCH.

9 CHAIRMAN IMBASCIANI: THANK YOU.

10 MR. HUANG: DR. MCMAHON FROM REVIR
11 THERAPEUTICS.

12 DR. MCMAHON: THANK YOU. DEAR COMMITTEE
13 MEMBERS, I'M A DIRECTOR OF BIOLOGY AT REVIR
14 THERAPEUTICS, A CALIFORNIA-BASED COMPANY COMMITTED
15 TO DEVELOPING TREATMENTS FOR HUNTINGTON'S DISEASE.
16 FROM INTERACTIONS WITH HD PATIENTS AND PATIENT
17 FOUNDATIONS, I AM AWARE OF THE HIGH UNMET MEDICAL
18 NEED FOR NEW TREATMENTS FOR HUNTINGTON'S DISEASE AND
19 OF THE SUFFERING AND DEVASTATION HD PATIENTS AND
20 FAMILIES ENDURE.

21 AND TODAY I WOULD LIKE TO READ A LETTER
22 FROM MRS. THERESE CRUTCHER-MARIN, A CALIFORNIA
23 RESIDENT AND PRESIDENT OF THE HUNTINGTON DISEASE
24 SOCIETY OF AMERICA, BAY AREA CHAPTER. SHE'S UNABLE
25 TO ATTEND TODAY AS SHE'S ATTENDING THE ANNUAL

BETH C. DRAIN, CA CSR NO. 7152

1 CONVENTION, BUT THE LETTER DETAILS HER SUPPORT FOR
2 REVIR THERAPEUTICS' APPLICATION.

3 AND I HOPE FROM HEARING THERESE'S STORY,
4 THAT THE ARS WILL RECONSIDER FUNDING OF OUR
5 PROPOSAL.

6 "I AM PRESIDENT OF THE HUNTINGTON DISEASE
7 SOCIETY OF AMERICA, SAN FRANCISCO BAY AREA CHAPTER,
8 AUTHOR, HUNTINGTON DISEASE ADVOCATE, BLOGGER, AND
9 RETIRED HEALTHCARE PROFESSIONAL. I AM WRITING IN
10 SUPPORT OF REVIR THERAPEUTICS' APPLICATION TO SECURE
11 FUNDING FOR HUNTINGTON'S DISEASE. THE FUNDING FROM
12 CIRM WILL HELP MOVE REVIR IN DEVELOPING A CURE FOR
13 HUNTINGTON'S DISEASE.

14 "MY FAMILY HAVE SUFFERED -- FIVE
15 GENERATIONS HAVE SUFFERED FROM HUNTINGTON'S DISEASE.
16 I WATCH MY THREE SISTER-INLAWS SUFFER AND THE STRESS
17 MY FAMILY ENDURED FROM MY HUSBAND'S UNKNOWN GENE
18 STATUS. THE MOTHER OF THESE FOUR SIBLINGS WAS
19 PLACED IN NAMPA STATE HOSPITAL. AND BECAUSE HER
20 CHOREA WAS UNCONTROLLABLE, SHE WAS RESTRAINED AND
21 STRANGLERED TO DEATH.

22 "FUNDING FROM CIRM IS CRITICAL TO SUPPORT
23 REVIR EFFORT TO ADVANCE THE PROGRAM TO THE CLINIC AS
24 SOON AS POSSIBLE. MY FAMILY CONSIDERS CIRM'S
25 APPROVAL OF REVIR APPLICATION AS A GIFT TO ALL HD

BETH C. DRAIN, CA CSR NO. 7152

1 FAMILIES IN CALIFORNIA. THANK YOU."

2 CHAIRMAN IMBASCIANI: THANK YOU VERY MUCH.

3 MR. HUANG: I'M GOING TO SKIP JUST TO KEEP
4 IT ON THE SAME AWARD.

5 DR. YUE: THANK YOU. OUR MANAGER TALK
6 ABOUT REVIR EFFORT TO TREAT HUNTINGTON DISEASE. I
7 WILL KEEP MY SPEECH SIMPLE. I JUST WANT TO REALLY
8 EMPHASIZE THAT REVIR IS A SMALL START-UP, TWO YEARS
9 OLD, CALIFORNIA BASED. AND OUR MISSION REALLY IS TO
10 DEDICATE OUR RESOURCE TO DEVELOP A NOVEL THERAPEUTIC
11 TO TREAT MANY NEURODEGENERATIVE DISEASE, INCLUDING
12 HUNTINGTON. AND FOR HUNTINGTON WE ACTUALLY HAVE A
13 VERY COMPREHENSIVE DISEASE STRATEGY TO TARGET
14 DISEASE CAUSAL MUTATION. AND (UNINTELLIGIBLE)
15 COVERED BY OUR PROPOSAL, TRAN1-160730, IS ONE
16 MOLECULE TO REALLY DOWN REGULATE THE HUNTINGTON
17 MUTANT RNA. AND WE THINK THAT THIS THERAPY AND
18 OTHER THERAPY WE ARE CURRENTLY DEVELOPING WILL HAVE
19 A TRANSFORMING KIND OF IMPACT TO THE HUNTINGTON
20 PATIENT. AND THE SUPPORT FROM CIRM IS REALLY
21 CRITICAL FOR US TO PUSH FORWARD TO DEVELOP OTHER
22 MOLECULE, INCLUDING THIS ONE. AND WE REALLY THANK
23 YOU FOR YOUR KIND OF REVIEW.

24 AND ON THE OTHER HAND, I THINK -- I
25 UNDERSTAND THE LIMITATIONS ABOUT IT; BUT ON THE

BETH C. DRAIN, CA CSR NO. 7152

1 OTHER HAND, WE PROBABLY WILLING TO OFFER -- WE CAN
2 MATCH THE GAP IN TERMS OF THE FUNDING FOR THE
3 PARTICULAR ROUND. THIS IS OPTION FOR THE BOARD TO
4 CONSIDER. THANK YOU.

5 MR. HUANG: DR. SUKOMOTO. OKAY. AND
6 DR. WEISSMAN.

7 DR. WEISSMAN: I'M IRV WEISSMAN, THE
8 PRINCIPAL INVESTIGATOR OF THIS GRANT. IN 1988 WE
9 FOUND HOW TO ISOLATE PURE, THE ONE IN A 100,000
10 CELLS IN THE BLOOD-FORMING BONE MARROW. THAT IS THE
11 STEM CELL. ALL OF THE OTHER CELLS, WHEN YOU
12 TRANSPLANT THEM, HAVE A FINITE LIFESPAN, BUT THE
13 STEM CELL BY SELF-RENEWAL CAN LAST FOR THE LIFE OF
14 THE RECIPIENT. ONE TREATMENT FOR LIFE.

15 IN 1992 WE PUBLISHED THE HUMAN
16 BLOOD-FORMING STEM CELL AT A COMPANY I STARTED
17 CALLED SYSTEMICS. WE DID A CLINICAL TRIAL FOR WOMEN
18 WITH METASTATIC BREAST CANCER. SO THAT MEANS BEYOND
19 THE BREAST, BEYOND THE LYMPH NODES, BUT SOMEPLACE,
20 USUALLY THE BONE. WE GAVE THEM ESSENTIALLY A LETHAL
21 DOSE OF COMBINATION CHEMOTHERAPY AND RESCUED THEM
22 WITH THEIR PURE BLOOD-FORMING STEM CELLS. AND WE
23 VALIDATED THERE WERE NO CANCER CELLS IN IT.

24 ALL OF THE OTHER TRANSPLANTS HAVE BEEN
25 WITH MOBILIZED BLOOD. ALTHOUGH THEY CALL THEM STEM

BETH C. DRAIN, CA CSR NO. 7152

1 CELL TRANSPLANTS, MOST OF THEM HAVE CANCER CELLS IN
2 THEM.

3 WHAT WE FOUND WHEN WE PUBLISHED IN 2012 IS
4 THAT WE CHANGED THE MEDIAN SURVIVAL OF WOMEN FROM
5 TWO YEARS WITH EITHER PALLIATIVE INTENSE THERAPY OR
6 MOBILIZED BLOOD RESCUE TO TEN YEARS WITH CANCER FREE
7 STEM CELLS. WE CHANGED THE SURVIVAL BEYOND 15
8 YEARS, CANCER FREE SURVIVAL, FROM ZERO WITH
9 PALLIATIVE CARE, ZERO WITH MOBILIZED BLOOD
10 TRANSPLANTS TO 33 PERCENT.

11 NOW AT 26 YEARS LATER, THE PEOPLE WHO WERE
12 CURED BEYOND THE 15 YEAR MADE IT. I WANT TO POINT
13 OUT THAT --

14 MS. MANDAC: THANK YOU SO MUCH, DR.
15 WEISSMAN. UNFORTUNATELY THE TIME IS UP.

16 MR. HUANG: THAT'S EVERYBODY ON-SITE.

17 MS. MANDAC: SO WE ARE GOING TO MOVE ON TO
18 THE ZOOM ROOM. WE'LL CONTINUE ON WITH WEISSMAN'S
19 APPLICATION. SO TAL RAVEH, YOU HAVE TWO MINUTES.
20 TAL. YOU WILL HAVE TO UNMUTE.

21 DR. RAVEH: GOOD MORNING. I WOULD LIKE TO
22 ALLOW JOE GANTZ TO START BEFORE ME BECAUSE WE BOTH
23 WANT TO COMMENT ON THE SAME APPLICATION.

24 MS. MANDAC: ALL RIGHT. JOE, YOU HAVE THE
25 FLOOR. YOU'RE SHOWING A WHITEBOARD, JOE.

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

(PAUSE IN PROCEEDINGS.)

DR. RAVEH: MAYBE I'LL TRY TO GET STARTED.

CHAIRMAN IMBASCIANI: WE CAN GIVE HIM SOME
EXTRA TIME TO GET STARTED.

DR. RAVEH: I'M READY. I'D LIKE TO SHARE
A FILM THAT JOE GANTZ CREATED THAT DESCRIBES THE
CLINICAL TRIAL, THE ONLY TIME THAT PURIFIED HUMAN
HEMATOPOETIC STEM CELLS WERE TRANSPLANTED TO WOMEN
THAT SUFFERED FROM METASTATIC BREAST CANCER AND TO
RESCUE THEIR BLOOD FORMATION AFTER HIGH-DOSE CHEMO.

(A VIDEO WAS THEN PLAYED, NOT
REPORTED NOR HEREIN TRANSCRIBED. THE VIDEO CAN BE
VIEWED ON THE FOLLOWING LINK:

[HTTPS://VIMEO.COM877689268065B9CF006?SHARE=COPY.](https://vimeo.com/877689268065b9cf006?share=copy))

MS. MANDAC: TAL, IT IS TIME. SO THAT WAS
TIME. YES, WE DID SEE A PART OF THE VIDEO, JOE.

DR. GANTZ: OKAY.

(THE ABOVE VIDEO WAS CONTINUED FROM
PREVIOUS SPEAKER.)

SO THIS CLINICAL TRIAL WAS FOLLOWED IN MY
FILM "ENDING DISEASE" WHICH I RELEASED FOUR YEARS
AGO. AND IT'S A CLINICAL TRIAL THAT HAS ALREADY
TAKEN PLACE AND HAD TREMENDOUS SUCCESS. AND I'M
JUST VERY HOPEFUL THAT IRV WILL GET THE CHANCE TO
FOLLOW UP WITH THIS AND CONTINUE THIS TRIAL TO SAVE

BETH C. DRAIN, CA CSR NO. 7152

1 LIVES. AND THANK YOU FOR YOUR TIME.

2 MS. MANDAC: THANK YOU, JOE.

3 NEXT UP WE DO HAVE DR. PAUL AUGUST. TO
4 FOLLOW HIM WILL BE SERGUI PASCA. PAUL, YOU HAVE THE
5 FLOOR.

6 DR. AUGUST: GREAT. THANK YOU VERY MUCH.
7 I APPRECIATE EVERYBODY'S OPPORTUNITY TO SPEAK TODAY.
8 I'M PAUL AUGUST, REVIR THERAPEUTICS CHIEF SCIENTIFIC
9 OFFICER. REVIR IS A CALIFORNIA COMPANY COMMITTED TO
10 DEVELOPING THERAPEUTIC TREATMENTS FOR HUNTINGTON'S
11 DISEASE. AND WE BELIEVE THAT OUR PROPOSAL FITS
12 REALLY WELL WITH THE GOALS OF CIRM'S TRANSLATIONAL
13 PROGRAM.

14 I'D LIKE TO TAKE THE OPPORTUNITY TO
15 CLARIFY ON SOME POINTS HIGHLIGHTED IN THE UNANIMOUS
16 POSITIVE REVIEW OF OUR PROPOSAL BY THE GRANTS
17 WORKING GROUP. ONE IS YOU'VE SEEN IN OUR LETTERS OF
18 SUPPORT, WE HAVE UNWAVERING SUPPORT FROM HUNTINGTON
19 DISEASE PATIENT FOUNDATIONS SUCH AS THE HUNTINGTON'S
20 DISEASE SOCIETY OF AMERICA AND CHDI, WHICH YOU'LL
21 HEAR FROM DOUG MCDONALD SHORTLY.

22 WE UNDERSTAND THAT WE ARE JUST AT THE
23 BORDER OF THE FUNDING CUTOFF. AND WE WOULD LIKE TO
24 IMPLORE THE ICOC TO FUND OUR APPLICATION GIVEN ITS
25 MERITS FOR FOCUSING ON A DEVASTATING DISEASE,

BETH C. DRAIN, CA CSR NO. 7152

1 SUPPORT FROM PATIENT FOUNDATIONS, AND SOME OF THE
2 CLARIFICATIONS.

3 FIRSTLY, THERE WAS A CONCERN RAISED BY
4 THE -- ABOUT OUR CANDIDATE'S EFFICACY IN PRECLINICAL
5 MODELS. AND I WANT TO ASSURE THE COMMITTEE THAT WE
6 HAVE CONFIRMED OUR CANDIDATE IS EFFECTIVE IN THE
7 FULLY HUMANIZED BACK HD MOUSE MODEL OF HUNTINGTON'S
8 DISEASE. AND ADDITIONALLY, OUR CANDIDATE HAS SHOWN
9 PROMISING RESULTS IN HUMAN PRECLINICAL MODELS, SUCH
10 AS HUNTINGTON'S DISEASE PATIENT IPSC'S. AND WE WILL
11 STRENGTHEN THAT IN THE FUTURE.

12 SECONDLY, ARTIFICIAL INTELLIGENCE WAS
13 RAISED AS AN OPPORTUNITY TO ANALYZE OUR SPLICING
14 DATA. AND I WANT TO COMMUNICATE THAT WE HAVE A
15 DEDICATED GROUP OF COMPUTATIONAL BIOLOGISTS WHO ARE
16 WORKING TO IDENTIFY THE MOST INFORMATIVE ANIMAL
17 SPECIES FOR OUR TOX STUDIES, ENSURING THE USE OF THE
18 TRANSGENOMICS DATA.

19 IN ADDITION, I WANT TO SAY THAT WE ARE
20 BROADENING OUR CLINICAL TOXICITY ASSESSMENTS AS
21 COMMUNICATED IN THE FEEDBACK. THESE WERE THE ONLY
22 CONCERNS THAT WERE RAISED BY THE REVIEWERS, AND WE
23 WANT THANK YOU FOR YOUR SUPPORT.

24 MS. MANDAC: THANK YOU, DR. AUGUST. SO
25 THIS WAS FOR TRAN1-16070, WHICH WAS PART OF THE

BETH C. DRAIN, CA CSR NO. 7152

1 APPLICATIONS NOT RECOMMENDED FOR FUNDING BY THE CIRM
2 TEAM. NEXT WILL BE DR. SERGUI PASCA ON TRAN1-16236,
3 ALSO NOT RECOMMENDED FOR FUNDING BY THE CIRM TEAM.
4 TO FOLLOW WILL BE KATJA WEINACHT. SO, DR. PASCA,
5 YOU HAVE THE FLOOR.

6 DR. PASCA: THANK YOU SO MUCH FOR THE
7 OPPORTUNITY TO SPEAK TODAY. I JUST WANT TO TAKE TWO
8 MINUTES TO TELL YOU A LITTLE BIT ABOUT THE RATIONALE
9 AND THE BROADER IMPLICATION FOR OUR TRAN1
10 APPLICATION FOR TIMOTHY SYNDROME. I'M A PROFESSOR
11 OF PSYCHIATRY AT STANFORD. AND I WANT TO POINT OUT
12 THAT ALTHOUGH PSYCHIATRIC DISORDERS AFFECT ONE IN
13 FIVE INDIVIDUALS WORLDWIDE AND CAUSE IMMENSE
14 SUFFERING, THE NUMBER OF APPLICATIONS, TRANSLATIONAL
15 APPLICATIONS, FOR PSYCHIATRIC DISORDERS ON THE
16 RECOMMENDED FUNDING LIST, IT'S VERY, VERY LOW. AND
17 THIS, OF COURSE, IS NOT A SURPRISE AS THESE
18 CONDITIONS ARE INCREDIBLY COMPLEX. AND DEVELOPING
19 THERAPEUTICS FOR PSYCHIATRIC DISORDERS HAS BEEN MORE
20 CHALLENGING THAN DEVELOPING THERAPEUTICS IN ANY
21 OTHER BRANCH OF MEDICINE.

22 BUT AS YOU CAN SEE, OUR PROPOSAL WAS VERY
23 POSITIVELY EVALUATED WITH CONCERNS ABOUT HOW RARE
24 THE CONDITION ACTUALLY IS. I WANT TO EMPHASIZE THAT
25 AUTISM, WHICH TIMOTHY SYNDROME IS RARE FORM OF

BETH C. DRAIN, CA CSR NO. 7152

1 AUTISM, IS NOT ONE SINGLE DISEASE, BUT A COLLECTION
2 OF INDIVIDUALLY RARE CONDITIONS. AND I DO BELIEVE
3 THAT IF WE WERE TO MAKE PROGRESS IN PSYCHIATRY,
4 WE'RE GOING TO NEED TO FIRST TACKLE THESE RARE
5 CONDITIONS.

6 WE ARE AT A CRITICAL POINT IN PSYCHIATRY.
7 AS FOR THIS CONDITION, FOR TIMOTHY SYNDROME, ONE OF
8 THE HIGHEST PENETRANT FORMS OF AUTISM AND EPILEPSY,
9 WE HAVE NOW GATHERED ENOUGH BIOLOGICAL INFORMATION
10 THROUGH HUMAN STEM CELL MODELS, ORGANIDS,
11 ASSEMBLOIDS, AND TRANSPLANTATION MODELS, THAT THE
12 THERAPEUTIC OPPORTUNITY JUST BECAME CLEAR. THIS
13 WORK WAS JUST PUBLISHED A COUPLE OF WEEKS AGO ON THE
14 COVER OF *NATURE* AND DEMONSTRATED FOR THE FIRST TIME
15 A MULTILEVEL APPROACH OF RESTORING DEFECTS IN HUMAN
16 NEURONS.

17 I NOTE THERE'S NOT ENOUGH TIME, BUT THERE
18 IS A TIMELINESS TO OUR PROJECT SINCE CHILDREN WITH
19 TIMOTHY SYNDROME ARE BEING DIAGNOSED MORE READILY,
20 BUT THEY'RE ALSO DYING YOUNG. JUST IN THE LAST 18
21 MONTHS, AS I HAVE TRAVELED TO FIND MOST OF THESE
22 PATIENTS, AT LEAST FIVE HAVE ACTUALLY DIED. SO, IN
23 CONCLUSION, I JUST HOPE THAT THE BOARD WILL
24 RECONSIDER --

25 MS. MANDAC: I'M SORRY. THE NEXT IN LINE

BETH C. DRAIN, CA CSR NO. 7152

1 WILL BE DR. KATJA WEINACHT ON TRAN1-16025. TO
2 FOLLOW WILL BE JONATHAN BLUM. SO DR. WEINACHT'S IS
3 ONE OF THE APPLICATIONS NOT CURRENTLY IN THE PILE
4 RECOMMENDED FOR FUNDING. DR. WEINACHT, YOU HAVE THE
5 FLOOR. AND APOLOGIES IF I BUTCHERED YOUR NAME.

6 DR. WEINACHT: NO. NO. NOT AT ALL.
7 THANK YOU VERY MUCH. KATJA WEINACHT. I'M A
8 PEDIATRIC STEM CELL TRANSPLANTER AT STANFORD SCHOOL
9 OF MEDICINE. AND I APOLOGIZE FOR ANY BACKGROUND
10 NOISE. I'M IN VANCOUVER AT THE INTERNATIONAL
11 SOCIETY FOR CELL THERAPY WHERE I JUST PRESENTED THIS
12 WORK.

13 SO MY LABORATORY HAS DEVELOPED AN ENTIRELY
14 NEW STRATEGY FOR A T-CELL IMMUNOTHERAPY. T-CELL
15 IMMUNOTHERAPIES ARE THE MOST POWERFUL
16 IMMUNOTHERAPIES. AND THE TYPE OF T-CELL
17 IMMUNOTHERAPY YOU KNOW ARE THE CAR-T CELLS, THE ONE
18 WHERE YOU ENGINEER T-CELLS IN A DISH. AND IT IS THE
19 EXACT SAME TYPE OF T-CELL THAT YOU ENGINEER. MY
20 LABORATORY HAS TAKEN A DIFFERENT APPROACH. WE USE
21 STEM CELLS TO ENGINEER THE ORGAN THAT MAKES T-CELLS.
22 AND SO WE MAKE T-CELLS THE WAY THE BODY DOES IT,
23 T-CELLS THAT CAN DO ANYTHING THE HUMAN BODY NEEDS.

24 YOU MAY ASK DO I NEED MY T-CELL? WHO IS
25 THIS? WE HAVE PROPOSED IT FOR CHILDREN WITH GENETIC

BETH C. DRAIN, CA CSR NO. 7152

1 DEFECTS WHO CANNOT MAKE T-CELLS. BUT EVERY
2 IMMUNOCOMPROMISED PATIENT NEEDS THIS. THIS IS A
3 PLATFORM TECHNOLOGY, PATIENTS WITH CANCER, PATIENTS
4 UNDERGOING CHEMOTHERAPY, PATIENTS OF STEM CELL
5 TRANSPLANTS, PATIENTS WITH HIV. AND TRULY AS WE
6 AGE, WE LOSE OUR CAPACITY TO MAKE NEW T-CELLS. SO
7 THIS IS A PLATFORM TECHNOLOGY THAT WILL REALLY
8 BENEFIT ALL OF US.

9 AND TO ESTABLISH SOMETHING ENTIRELY NOVEL,
10 WE NEED FUNDING, THE TYPE OF HIGH RISK FUNDING THAT
11 CIRM SET OUT TO DO TO ADVANCE ENTIRELY NOVEL
12 THERAPIES. SO TODAY I RESPECTFULLY ASK THE ICOC
13 COMMITTEE TO EXAMINE THE PORTFOLIO OF EXISTING
14 T-CELL THERAPIES AND TO MAKE A CONSCIOUS DECISION TO
15 INVEST IN SOMETHING THAT IS ENTIRELY NOVEL. AND
16 THAT IS TRULY A WONDERFUL RETURN ON INVESTMENT. IT
17 CAN BENEFIT ALL OF US. AND I'M CONFIDENT IT CAN
18 WRITE THE NEXT CHAPTER OF IMMUNOTHERAPIES. THANK
19 YOU FOR YOUR ATTENTION.

20 MS. MANDAC: THANK YOU VERY MUCH, DR.
21 WEINACHT. SO NEXT WE WILL HAVE JONATHAN BLUM TO BE
22 FOLLOWED BY SAM ALWORTH. BOTH WILL BE SPEAKING ON
23 TRAN1-16013, AN APPLICATION THAT HAS BEEN
24 RECOMMENDED FOR FUNDING BY THE CIRM TEAM. JONATHAN,
25 YOU HAVE THE FLOOR.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. BLUM: THANK YOU FOR THIS OPPORTUNITY
2 TO SPEAK. I WAS DIAGNOSED WITH ALS IN 2020, SHORTLY
3 AFTER I RETIRED FROM MY WORK AS AN INFECTIOUS
4 DISEASE PHYSICIAN. I WAS ASKED BY EVERYTHING ALS,
5 AN ADVOCACY GROUP, TO COMMENT ON THIS GRANT
6 SPECIFICALLY REGARDING WHETHER INTRATHECAL THERAPY
7 WOULD BE ACCEPTABLE TO ALS PATIENTS.

8 FIRST, I WANT TO MENTION THAT I'M
9 RECEIVING NO COMPENSATION WHATSOEVER FOR MY
10 APPEARANCE HERE. IN ADDITION, I WAS ASKED TO SPEAK
11 AND I AGREED TO DO SO BEFORE ANYONE KNEW WHAT MY
12 STATEMENT WOULD BE. SO I WAS NOT CHERRY-PICKED FOR
13 MY RESPONSE.

14 ALTHOUGH MUCH SCIENTIFIC PROGRESS IS BEING
15 MADE, NEURODEGENERATIVE DISORDERS ARE A TOUGH
16 TARGET. AND OPTIONS FOR ALS WILL REMAIN QUITE
17 LIMITED FOR SOME TIME. IN OTHER WORDS, THERE IS NO
18 MIRACLE PILL ON THE HORIZON. ALTHOUGH THERE IS NO
19 DENYING THAT INTRATHECAL THERAPY IS LESS CONVENIENT
20 THAN PILLS, I BELIEVE THAT IT IS NOT A SUBSTANTIAL
21 OBSTACLE TO USE OF SUCH A THERAPY.

22 THERE ARE SEVERAL GOOD REASONS FOR THIS.
23 FIRST, INTRATHECAL THERAPY IS ALREADY USED FOR OTHER
24 SERIOUS DISEASES SUCH AS LEUKEMIA, SPINAL MUSCULAR
25 ATROPHY, OR IN MY FIELD FUNGAL MENINGITIS.

BETH C. DRAIN, CA CSR NO. 7152

1 SECOND, IT ANTISENSE THERAPY IS ALREADY
2 BEING USED FOR ALS IN THE SMALL GROUP OF PATIENTS
3 WHO HAVE A MUTATION IN THE SOD1 GENE, AND IT IS THE
4 FIRST ALS TREATMENT THAT HAS BEEN SHOWN TO REVERSE
5 THE DISEASE. UPTAKE OF THIS TREATMENT AMONG THOSE
6 PATIENTS HAS BEEN VERY HIGH.

7 THIRD, IT THERAPY IS BEING DEVELOPED FOR
8 TREATMENT OF OTHER NEURODEGENERATIVE DISORDERS SUCH
9 AS CREYTZFELDT-JAKOB DISEASE AS DESCRIBED IN *SCIENCE*
10 MAGAZINE JUST THIS MARCH 22D.

11 FINALLY, I CAN SPEAK FROM MY OWN
12 PERSPECTIVE. I'VE PERFORMED MANY LUMBAR PUNCTURES
13 AND OBSERVED HOW PATIENTS TOLERATED THEM. I AM ALSO
14 FACING PROGRESSIVE DISABILITY AND CERTAIN DEATH FROM
15 MY DISEASE. THERE'S NO QUESTION THAT I WOULD BE
16 WILLING TO ACCEPT INTRATHECAL THERAPY EITHER AS PART
17 OF A TRIAL OR AS AN APPROVED TREATMENT. FOR A
18 DISEASE WITH A DISMAL PROGNOSIS AND FEW TREATMENT
19 OPTIONS, LUMBAR PUNCTURES AND INTRATHECAL THERAPY
20 ARE ACCEPTABLE TO ME AND OTHER PATIENTS. THANK YOU.

21 MS. MANDAC: THANK YOU SO MUCH, JONATHAN.
22 NEXT TO HAVE THE FLOOR WILL BE SAM ALWORTH. AFTER
23 SAM WILL BE A PHONE NUMBER, (310) 342-5508. SAM,
24 YOU HAVE THE FLOOR.

25 DR. ALWORTH: HI. THANK YOU. I'M ALSO

BETH C. DRAIN, CA CSR NO. 7152

1 SPEAKING IN SUPPORT OF TRAN1-16013 FROM ACURASTEM
2 FOR THE DEVELOPMENT OF AN UNC13A TARGETING ANTISENSE
3 OLIGONUCLEOTIDE OR ASO FOR THE TREATMENT OF ALS. I
4 AM THE CO-FOUNDER AND CEO OF ACURASTEM, AND I THANK
5 THE BOARD FOR THIS OPPORTUNITY TO SPEAK.

6 OUR PROPOSAL, AS I MENTIONED, IS CURRENTLY
7 RECOMMENDED FOR FUNDING. BUT GIVEN HOW COMPETITIVE
8 THIS IS, I WANTED TO EDUCATE THE BOARD ON THE IMPACT
9 OF OUR PROPOSAL. AS YOU LIKELY KNOW, ALS IS A
10 HORRIBLE AND RAPIDLY PROGRESSING NEURODEGENERATIVE
11 DISEASE THAT CAUSES DEATH IN PATIENTS WITHIN AROUND
12 THREE YEARS ON AVERAGE.

13 OUR APPLICATION IS IMPORTANT BECAUSE IT
14 TARGETS A BROAD ALS POPULATION. THE THERAPEUTIC
15 MECHANISM OF OUR DRUG CANDIDATE IS RELEVANT FOR
16 NEARLY ALL ALS PATIENTS, WHICH IS VERY DIFFERENT
17 FROM GENETICALLY TARGETED APPROACHES SUCH AS THE
18 RECENTLY APPROVED ASO TREATMENT FOR SOD1 ALS, WHICH
19 IS ABOUT 5 PERCENT OF THE PATIENT POPULATION.

20 WHILE OUR REVIEW WAS OVERWHELMINGLY
21 POSITIVE, I'D LIKE TO ADDRESS ONE REVIEWER'S CONCERN
22 ABOUT THE DURABILITY OF ASO EXPOSURE AND EFFECTS ON
23 PROTEIN LEVELS AND HOW OFTEN THE ASO TREATMENT WOULD
24 NEED TO BE DOSED. THE LAST FEW YEARS HAVE SEEN
25 QUITE A NUMBER OF CLINICAL TRIALS OF INTRATHECALLY

BETH C. DRAIN, CA CSR NO. 7152

1 ADMINISTERED ASO'S AND ONE RECENT APPROVAL.

2 AS THE FIELD, THE TECHNOLOGY OF ASO'S HAS
3 PROVEN TO GIVE DURABLE SUPPRESSION OF THE TARGET OF
4 INTEREST. AND QUARTERLY DOSING IS NOW THE STANDARD.
5 AND WE EXPECT TO BE ABLE TO ACHIEVE THAT WITH OUR
6 TREATMENT.

7 LASTLY, DR. BLUM JUST KINDLY SPOKE TO THE
8 ACCEPTABILITY OF INTRATHECAL TREATMENTS FOR ALS
9 PATIENTS. AND AS HE SO ELEGANTLY STATED, IT
10 ADMINISTRATION IS WIDELY ACCEPTED BY ALS PATIENTS
11 WITH TOFERSEN AND ALSO NSMA WITH NUSINERSEN. THANK
12 YOU.

13 MS. MANDAC: THANK YOU VERY MUCH, DR.
14 ALWORTH. SO NEXT WILL BE (310) 342-5508. PLEASE
15 MAKE SURE WHEN YOU START THAT YOU INTRODUCE YOURSELF
16 AND THE APPLICATION NUMBER YOU'RE SPEAKING FOR. AND
17 AFTER WILL BE ANA MORENO. SO (310) 342-5508, YOU
18 HAVE THE FLOOR.

19 DR. MCDONALD: YES. GOOD MORNING. CAN
20 YOU HEAR ME?

21 MS. MANDAC: YES.

22 DR. MCDONALD: GREAT. GOOD MORNING,
23 EVERYONE. MY NAME IS DOUG MCDONALD, AND I'M A
24 RESEARCH SCIENTIST AND DIRECTOR OF EXTERNAL
25 PARTNERSHIPS AND A MEMBER OF THE PRECLINICAL

BETH C. DRAIN, CA CSR NO. 7152

1 LEADERSHIP TEAM AT CHDI FOUNDATION.

2 CHDI IS A PRIVATELY FUNDED NOT-FOR-PROFIT
3 ORGANIZATION EXCLUSIVELY DEDICATED TO ACCELERATING
4 THERAPIES FOR HUNTINGTON'S DISEASE BY
5 COLLABORATIVELY ENABLING HD R & D.

6 WE HAVE THREE OFFICES, AND I'M DIALING IN
7 FROM OUR OFFICE IN LOS ANGELES, CALIFORNIA, WHERE I
8 AM BASED.

9 I'M CALLING TO SUPPORT REVIR THERAPEUTICS'
10 PROJECT APPLICATION ENTITLED "GENETIC THERAPY
11 TARGETING MUTANT HUNTINGTON M-RNA TO TREAT
12 HUNTINGTON'S DISEASE." AND IT'S A PLEASURE TO
13 ADDRESS YOU ALL TODAY.

14 HUNTINGTON'S IS A HORRIBLE AND FATAL
15 DISEASE WITH A TRUE UNMET MEDICAL NEED. UNLIKE MANY
16 OTHER FATAL DISEASES, SUCH AS IN THE ONCOLOGY SPACE,
17 THERE ARE CURRENTLY NO APPROVED DISEASE MODIFYING
18 THERAPIES FOR HUNTINGTON'S. HUNTINGTON'S IS AN
19 AUTOSOMAL DOMINANT MONOGENIC DISEASE WITH 100
20 PERCENT PENETRANCE, AND IT MANIFESTS AS A MOVEMENT,
21 PSYCHIATRIC, AND COGNITIVE DISORDER. CHILDREN OF A
22 PARENT WHO HAS HD HAVE A 50-50 CHANCE OF INHERITING
23 THIS FATAL GENE.

24 REVIR'S INNOVATIVE RNA TARGETING DRUG
25 DISCOVERY PLATFORM HAS ALREADY YIELDED A CANDIDATE

BETH C. DRAIN, CA CSR NO. 7152

1 THERAPY CALLED RX 038 THAT LOWERS THE LEVELS OF
2 MUTANT HUNTINGTON M-RNA AND PROTEIN BY MODIFYING THE
3 SPLICING OF MUTANT HUNTINGTON M-RNA.

4 THIS SPECIFIC APPROACH TARGETS THE
5 MONOGENIC CAUSE OF THE DISEASE. FURTHERMORE, THEIR
6 PLATFORM IS WELL POSITIONED TO YIELD ADDITIONAL
7 CANDIDATE MOLECULES TO MODULATE OTHER TARGETS OF
8 INTEREST TO HUNTINGTON'S STEMMING FROM THE
9 WELL-VALIDATED HUMAN-BASED GENOMEWIDE ASSOCIATION
10 STUDIES THAT --

11 MS. MANDAC: THANK YOU SO MUCH.
12 UNFORTUNATELY YOUR TIME IS UP.

13 DR. MCDONALD: SORRY.

14 MS. MANDAC: NO, I'M SO SORRY. THANK YOU
15 VERY MUCH, DOUG.

16 DR. MCDONALD: I'LL JUST PAUSE BY SAYING
17 THAT THE PRECLINICAL LEADERSHIP SCIENTIFIC --

18 MS. MANDAC: SORRY, DOUG. NEXT WILL BE
19 ANA MORENO ON TRAN1-16022, AN APPLICATION THAT'S
20 RECOMMENDED FOR FUNDING BY THE CIRM TEAM. FOLLOWING
21 ANA WILL BE (818) 519-9963. DR. MORENO, YOU HAVE
22 THE FLOOR.

23 DR. MORENO: THANK YOU. GOOD MORNING. MY
24 NAME IS ANA MORENO. I AM THE FOUNDER AND CEO OF
25 NAVEGA THERAPEUTICS, A COMPANY BASED IN SAN DIEGO,

BETH C. DRAIN, CA CSR NO. 7152

1 CALIFORNIA. I WANT TO THANK THE REVIEWERS FOR
2 GIVING US THE TOP SCORE OF 85 AND FOR UNDERSTANDING
3 THE HUGE NEED THAT MANY OF US IN CALIFORNIA AND
4 AMERICANS IN GENERAL WITH CHRONIC PAIN ARE FACING.

5 I CAN IMAGINE MANY PEOPLE IN THE ROOM HAVE
6 EXPERIENCED OR KNOW SOMEONE THAT'S SUFFERING FROM
7 CHRONIC PAIN AND THE AMOUNT OF DEFICIENCY IN THE
8 QUALITY OF LIFE THAT THESE PATIENTS HAVE. INDEED,
9 17 MILLION AMERICANS SUFFER FROM HIGH IMPACT CHRONIC
10 PAIN, AND OPIATES ARE JUST NOT CUTTING IT WITH ONE
11 IN FOUR PATIENTS PRESCRIBED OPIATES BECOMING
12 ADDICTED TO THEM.

13 BUT YET ONLY LESS THAN 2 PERCENT, 1.7
14 PERCENT, OF INVESTMENT IS DEDICATED TO NOVEL CHRONIC
15 PAIN SOLUTIONS ACCORDING TO BYERS INVESTMENT REPORT
16 IN 2023. SO WE REALLY ARE IN DIRE NEED OF NEW
17 TREATMENTS FOR CHRONIC PAIN.

18 AT NAVEGA WE HAVE DEVELOPED A LONG
19 LASTING, NONADDICTIVE, HIGHLY SPECIFIC EPIGENETIC
20 GENE THERAPY FOR CHRONIC PAIN. WE HAVE SHOWN
21 EFFICACY PRECLINICALLY IN FIVE TYPES OF PAIN,
22 INCLUDING INFLAMMATORY, NEUROPATHIC, VISCERAL, AND
23 ARTHRITIC PAIN, SAFETY IN RODENT AND NONHUMAN
24 PRIMATES WITH NO TOXICITY OBSERVED EVEN AT HIGH
25 DOSES.

BETH C. DRAIN, CA CSR NO. 7152

1 IMPORTANTLY, WE ARE A LUCKY RECIPIENT OF A
2 DISC2 GRANT THAT ALLOWED US TO TEST OUR GENE
3 THERAPIES AND IPSC'S FROM PATIENTS WITH
4 ERYTHROMELALGIA. AS OTHERS HAVE SHOWN THAT PATIENTS
5 THAT RESPOND TO THE IPSC STAGE IN PAIN RESPOND IN
6 THE CLINICAL TRIAL LARGELY TO THE DERISKING OF
7 THERAPY.

8 AND WE ARE REALLY COMMITTED TO ACTUALLY
9 TREAT PATIENTS. I STARTED THIS JOURNEY IN 2015 AS A
10 PH.D. STUDENT IN THE UNIVERSITY OF CALIFORNIA SAN
11 DIEGO AND STARTED THE COMPANY AFTER SEEING HIGH
12 IMPACT JOURNALS IN TRANSITIONAL MEDICINE. AND THIS
13 JOURNEY IS A DIFFICULT ONE OBVIOUSLY, ESPECIALLY ONE
14 FOCUSED ON CHRONIC PAIN, BUT WE REALLY ARE MOTIVATED
15 BY PATIENTS SUFFERING FROM CHRONIC PAIN THAT HAVE
16 REACHED OUT TO US IN CALIFORNIA, ALSO ABROAD IN
17 AUSTRALIA, ITALY, NETHERLANDS, AND BELGIUM. SO WE
18 REALLY WOULD LIKE TO HAVE YOU -- ASK TO CONSIDER
19 FUNDING US TO HELP END THE OPIATE ADDICTION AND
20 BRING PATIENTS A TREATMENT FOR CHRONIC PAIN. THANK
21 YOU.

22 MS. MANDAC: THANK YOU SO MUCH, DR.
23 MORENO. NEXT WE WILL HAVE (818) 519-9963 FOLLOWED
24 BY ANOTHER PHONE CALLER, (646) 586-1794. FOR BOTH
25 OF YOU, PLEASE MAKE SURE THAT YOU STATE YOUR NAME,

BETH C. DRAIN, CA CSR NO. 7152

1 WHAT APPLICATION YOU'RE SPEAKING TOWARDS. SO (818)
2 519-9963, YOU HAVE THE FLOOR.

3 DR. HOLLIS: HELLO. I AM ROGER HOLLIS,
4 AND I'M THE PI FOR THE TRAN1-16030, WHICH IS USING
5 GENE THERAPY TO TREAT A RARE, NONDEGENERATIVE,
6 NEUROGENETIC CONDITION CALLED ANGELMAN SYNDROME.

7 IN THIS ROUND, ALTHOUGH WE RECEIVED A
8 SCORE THAT SHOULD HAVE BEEN RECOMMENDED FOR FUNDING,
9 WE ARE CURRENTLY NOT RECOMMENDED FOR FUNDING. AND
10 WE REALLY HOPE THAT THE BOARD CAN POSSIBLY CHANGE
11 THEIR MINDS BECAUSE ANGELMAN SYNDROME IS CAUSED
12 BY -- PARDON ME. I'VE GOT A BAD COLD RIGHT NOW. SO
13 ANGELMAN SYNDROME -- WOW. I'M MAKING A COMPLETE
14 MESS OF THIS. I'M SUPER EMBARRASSED.

15 SO ANGELMAN SYNDROME IS CAUSED BY
16 EXPRESSION OF A SINGLE GENE CALLED UBE3A WITH HUGE
17 UNIQUE IMPRINTING PHENOMENON THAT THIS DISORDER ONLY
18 IMPACTS NEURONS IN THE CENTRAL NEURON SYSTEM AND NO
19 PERIPHERAL SYMPTOMS.

20 THE PATIENTS LIVING WITH ANGELMAN SYNDROME
21 EXPERIENCE SEVERE DEVELOPMENTAL DELAYS,
22 MULTIDYSFUNCTION, ATAXIA, PROFOUND SLEEP
23 DISTURBANCES, SEIZURES, AND ALMOST UNIVERSAL LACK OF
24 SPEECH, AND UNFORTUNATELY INABILITY TO LIVE AN
25 INDEPENDENT LIFE. IT AFFECTS APPROXIMATELY ONE IN

BETH C. DRAIN, CA CSR NO. 7152

1 15,000 INDIVIDUALS, WHICH IS ABOUT 2600 PEOPLE IN
2 THE STATE OF CALIFORNIA WHICH TRANSLATES TO ABOUT
3 HALF A MILLION PEOPLE WORLDWIDE. AND SADLY THERE
4 ARE NO APPROVED TREATMENTS CURRENTLY FOR ANGELMAN
5 SYNDROME, WHICH LEAD TO A HUGE UNMET CLINICAL NEED
6 FOR THE INDIVIDUALS AND THEIR FAMILIES.

7 I AM PART THE GENE MEDICINE PROGRAM HERE
8 AT UCLA, AND I'VE BEEN ON THE TEAM THAT HAVE
9 DEVELOPED THERAPIES FOR MULTITUDES OF DISORDERS,
10 INCLUDING BUBBLE BABY DISEASE AND SICKLE CELL
11 ANEMIA. THE PRUDENCE IN GENETICALLY MODIFYING
12 HEMATOPOIETIC STEM CELLS WORK FOR TREATING ANGELMAN
13 SYNDROME BECAUSE HEMATOPOIETIC STEM CELLS GIVE RISE
14 TO AMINE CELLS THAT SET UP RESIDENCE IN THE BRAIN
15 ALSO KNOWN AS RESIDENT AMINE CELLS.

16 THE GENETICALLY MODIFIED RESIDENT AMINE
17 CELLS ARE STILL CAPABLE OF PERFORMING THEIR NORMAL
18 ROLE IN THE BRAIN, BUT NOW HAVE ALSO BEEN ENDOWED
19 WITH THE ABILITY TO TREAT UBE3A ENZYMES WHICH IS
20 MISSING IN THE ANGELMAN SYNDROME PATIENTS,
21 (UNINTELLIGIBLE) PROTEINS THAT ARE ABLE TO
22 CROSS-CORRECT THE ENZYME DEFICIENCY IN THE
23 SURROUNDING NEURONS AND REVERSES THE DEFECT. AND
24 THE REASON WE ARE SO EXCITED --

25 MS. MANDAC: THANK YOU SO MUCH, DR.

BETH C. DRAIN, CA CSR NO. 7152

1 HOLLIS. THAT IS TIME. SO THAT WAS FOR APPLICATION
2 TRAN1-16030, ONE OF THE APPLICATIONS NOT RECOMMENDED
3 FOR FUNDING BY THE CIRM TEAM. NEXT IN THE QUEUE IS
4 (646) 586-1794 TO BE FOLLOWED AFTER BY DR. YUAN. SO
5 (646) 586-1794, YOU HAVE THE FLOOR. PLEASE MAKE
6 SURE TO STATE YOUR NAME AND WHAT APPLICATION YOU'RE
7 SPEAKING TOWARDS.

8 DR. BERENT: HELLO. MY NAME IS ALLYSON
9 BERENT, AND I'M SPEAKING TO TRAN1-16030, "THE
10 EVALUATION OF EX VIVO LENTIVIRAL GENE THERAPY FOR
11 THE TREATMENT OF ANGELMAN SYNDROME."

12 GOOD MORNING, EVERYONE. I'M THE CHIEF
13 SCIENCE OFFICER FOR THE FOUNDATION FOR ANGELMAN
14 SYNDROME THERAPEUTICS WHERE OUR SINGULAR FOCUS IS TO
15 HELP ADVANCE TRANSFORMATIVE TREATMENTS FOR ALL
16 INDIVIDUALS LIVING WITH ANGELMAN SYNDROME.

17 ANGELMAN IS A NONDEGENERATIVE DISORDER
18 AFFECTING A SINGLE GENE CALLED THE UBE3A. ITS
19 DEFICIENCY IS ONLY IN NEURONS OF THE BRAIN. AND
20 SINCE 2008 WE AT THE FOUNDATION HAVE WORKED TO FUND
21 EVERY POSSIBLE THERAPEUTIC STRATEGY WITH SCIENTIFIC
22 MERIT THAT CAN BE ADVANCED TOWARDS HUMAN
23 APPLICATION. THIS INCLUDES AN AAV GENE REPLACEMENT
24 THERAPY, ARTIFICIAL TRANSCRIPTION FACTORS, AND AN
25 ANTISENSE OLIGONUCLEOTIDE, AS WELL AS CRISPR GENE

BETH C. DRAIN, CA CSR NO. 7152

1 EDITING IN THIS EX VIVO AUTOLOGOUS HEMATOPOIETIC
2 STEM CELL GENE THERAPY.

3 I'M HERE TODAY BECAUSE OF OUR EXCITEMENT
4 OVER THE PROMISE OF THIS SPECIFIC EX VIVO LENTIVIRAL
5 GENE THERAPY FOR ANGELMAN SYNDROME. COMPARED TO ALL
6 OTHER STRATEGIES THAT WE HAVE FUNDED, THIS HSC
7 APPROACH HAS BEEN THE MOST PROFOUND IMPACT IN THE
8 ANIMAL MODEL, FULLY RESCUING THE PHENOTYPE IN
9 SYMPTOMATIC ANIMALS BOTH AT NEWBORN AND IN ADULT
10 MICE, HAVING THE GREATEST BIODISTRIBUTION TO THE
11 BRAIN, SHOWING THE ABILITY FOR THE UBE3 ENZYME TO
12 CROSS-CORRECT. THESE DATA HAVE CHANGED THE WAY WE
13 THINK ABOUT HOW TO BEST ADDRESS THIS DISORDER
14 FOLLOWING IN THE FOOTSTEPS OF THE RECENTLY APPROVED
15 (UNINTELLIGIBLE) FOR MEDICAL LEUKODYSTROPHY AS WELL
16 AS CYSTINOSIS AND (UNINTELLIGIBLE) ATAXIA THANKS TO
17 THE INCREDIBLE FUNDING BY CIRM.

18 WE ARE HONORED TO HELP SUPPORT THE WORK OF
19 DR. HOLLIS AND HIS ACCOMPLISHED TEAM AT UCLA TO BE
20 ABLE TO ADVANCE TO A PRE-IND MEETING FOR THIS
21 CURRENT CRITICAL CANDIDATE. IT HAS BEEN AN
22 INCREDIBLE COLLABORATIVE EFFORT ALWAYS WITH SOUND
23 SCIENCE, PATIENT FOCUS AT THE CORE OF ALL OF OUR
24 WORK. AS I AM THE MOTHER TO A LITTLE GIRL WHO LIVES
25 WITH THE ANGELMAN SYNDROME, AND UNFORTUNATELY SHE IS

BETH C. DRAIN, CA CSR NO. 7152

1 NONVERBAL AND SHE'S UNABLE TO BE HERE TODAY TO SPEAK
2 FOR HERSELF. SO I AM THE ONE WHO HAS TO SPEAK FOR
3 HER.

4 WHILE THE SYMPTOMS OF AS PRESENT WITH
5 EXTREME SEVERITY AND SIGNIFICANTLY IMPACT THE
6 DEVELOPMENT AND FUNCTIONING, DATA FROM THE
7 LITERATURE AND THE NATURAL HISTORY STUDY DATING BACK
8 TO 2006 SHOW THAT PATIENTS HAVE A NORMAL LIFESPAN.
9 THERE ARE GLOBAL REGISTRY --

10 MS. MANDAC: THANK YOU SO MUCH FOR
11 PROVIDING COMMENT ON THE ANGELMAN SYNDROME
12 APPLICATION, TRAN1-16030. SO THE NEXT AND LAST IN
13 OUR LINE IS DR. YUAN ON TRAN2-16061, ONE OF THE
14 APPLICATIONS RECOMMENDED FOR FUNDING BY THE CIRM
15 TEAM. DR. YUAN, YOU HAVE THE FLOOR.

16 DR. YUAN: THANK YOU SO MUCH. AND I
17 REALLY APPRECIATE THE COMMITTEE FOR THE OPPORTUNITY
18 TO SPEAK. SO I'M A MEDICAL ONCOLOGIST AT
19 CEDARS-SINAI MEDICAL CENTER. SO OUR COLLABORATOR
20 AND MYSELF ARE TRYING TO TACKLE VERY IMPORTANT
21 QUESTION. THE PAST TWO DECADES WE HAVE SEEN
22 TREMENDOUS PROGRESSION OR IMPROVEMENT REGARDING
23 METASTATIC BREAST CANCER TREATMENT. BUT WHEN THEY
24 HAVE GONE BEYOND THE STANDARD OF CARE ARENA, EVERY
25 TIME IN CLINIC WE ARE FACING OUR PATIENTS AND TRYING

BETH C. DRAIN, CA CSR NO. 7152

1 TO FIGURE OUT WHAT IS THE BEST NEXT TREATMENT, WE
2 OFTEN HAVE NO GUIDANCE.

3 SO WE ARE DEVELOPING THIS ASSAY WHICH IS
4 REALLY A NOVEL PRECISION MEDICINE TOOL FOR USING OUR
5 REAL-TIME PATIENT SPECIMEN, ADMITTING TO THE LAB,
6 AND TRY TO CREATE THIS PERSONALIZED TOOL TO GUIDE
7 THE NEXT TREATMENT. SO WE ARE COLLABORATING WITH
8 TERASAKI INSTITUTE AND WORKING ON THIS DIGITAL
9 PATIENT ORGANOID WITH THE AIM TO BRING THAT ANSWER
10 BACK TO THE CLINIC USING UNIQUE PATIENT'S FRESH
11 TUMOR BIOPSY. AND IT'S CALLED DIGITAL PATIENT
12 ORGANOID.

13 AND WE REALLY APPRECIATE THE OPPORTUNITY
14 FOR THE CONSIDERATION FOR FUNDING. I'LL STOP HERE.
15 THANK YOU.

16 MS. MANDAC: THANK YOU VERY MUCH, DR.
17 YUAN. ALL RIGHT. THAT IS IT FOR THE PUBLIC
18 COMMENT. BACK TO YOU, VITO.

19 CHAIRMAN IMBASCIANI: GREAT. THANK YOU.
20 THANK YOU, CLAUDETTE, FOR MANAGING THAT. WE HAVE A
21 TOTAL OF THIRTY-THREE LETTERS. I DON'T KNOW HOW
22 MANY PEOPLE SPOKE. I WANT TO THANK THE WRITERS OF
23 THE LETTERS WHO SENT INFORMATION TO US. SOME OF THE
24 LETTERS WERE FULL OF GREAT SCIENTIFIC RIGOR. OTHERS
25 WERE MORE A CRI DE COEUR. ALL OF THEM WERE WRITTEN

BETH C. DRAIN, CA CSR NO. 7152

1 WITH CARE, AND I WANT TO THANK THE AUTHORS FOR
2 ENLIGHTENING THE MEMBERS OF THIS SUBCOMMITTEE,
3 HELPING US UNDERSTAND BETTER THE HUMAN COST AND THE
4 BURDEN OF DISEASE. WE APPRECIATE THAT IMMENSELY.

5 I THINK WE ARE NOW AT A POINT WHERE WE CAN
6 RETURN TO THE MOTION THAT IS ON THE FLOOR. WE HAVE
7 HAD BOARD COMMENT, PUBLIC COMMENT. IS THERE ANY
8 OTHER COMMENTS FROM BOARD MEMBERS ON BOARD MEMBER
9 HIGGINS' MOTION? DO YOU REMEMBER IT? IT WAS AWHILE
10 AGO. YOU WANT TO RESTATE IT MAYBE?

11 MR. HUANG: WE WILL APPROVE --

12 CHAIRMAN IMBASCIANI: AND ALSO -- I'M
13 SORRY, BEN. EXPLAIN WHAT A YES VOTE AND A NO VOTE
14 MEANS.

15 MR. HUANG: SURE. APPROVE ALL THE
16 APPLICATIONS IN THE RECOMMENDED RANGE, RECOMMENDED
17 BY CIRM, AND NOT FUND THOSE APPLICATIONS NOT IN THE
18 CIRM RECOMMENDED -- NOT IN THE CIRM
19 RECOMMENDED -- NOT CIRM RECOMMENDED. SORRY.

20 AND A YES VOTE WOULD JUST MEAN WE -- THIS
21 MOTION WOULD CLOSE -- THIS WOULD BE A GLOBAL MOTION
22 FOR ALL THE APPLICATIONS. A YES VOTE WOULD MEAN
23 THAT ALL THE DARK GREEN APPLICATIONS CURRENTLY WOULD
24 BE APPROVED FOR FUNDING. AND A NO VOTE -- SORRY.
25 ALL THE DARK GREEN APPLICATIONS WILL BE APPROVED FOR

BETH C. DRAIN, CA CSR NO. 7152

1 FUNDING. AND THE NON-DARK GREEN APPLICATIONS WOULD
2 NOT BE FUNDED. A NO VOTE WOULD INDICATE THAT WE
3 WOULD PROBABLY NEED TO ENTERTAIN A NEW MOTION IF
4 BOARD MEMBERS ARE INTERESTED IN MOVING ANY
5 APPLICATIONS UP OR DOWN.

6 BECAUSE THE BUDGET IS FULL, ANY MOTION TO
7 MOVE AN APPLICATION UP WOULD REQUIRE THAT AN
8 APPLICATION WOULD ALSO HAVE TO BE MOVED DOWN FROM
9 THE DARK GREEN RANGE. HOPEFULLY THAT'S CLEAR
10 ENOUGH.

11 CHAIRMAN IMBASCIANI: IT WAS CLEAR TO ME.
12 THANK YOU, BEN. IT'S VERY IMPORTANT WHAT BEN JUST
13 SAID. AND WE PROBABLY DON'T HAVE TO CLARIFY THAT
14 ANY FURTHER AT THIS POINT. DEPENDS ON THE OUTCOME
15 OF THIS VOTE.

16 MS. MANDAC: ANNE-MARIE HAS HER HAND UP.

17 CHAIRMAN IMBASCIANI: ANNE-MARIE.

18 DR. DULIEGE: YES. I WANT, AGAIN, TO
19 EXPRESS MY GRATITUDE NOT ONLY TO THE PATIENTS,
20 PATIENT'S REPRESENTATIVE, AND SCIENTISTS WHO SENT
21 LETTERS, BUT TO ALL OF YOU WHO HAD THE COURAGE TO
22 COME AND TALK TO US. PARTICULARLY IT'S FRUSTRATING
23 WHEN IT'S ONLY TWO MINUTES AND THE MATTER IS SO
24 IMPORTANT.

25 I DO REALLY APPRECIATE AS A PERSON AND AS

BETH C. DRAIN, CA CSR NO. 7152

1 A SCIENTIST HOW CHALLENGING IT IS TO HEAR, TO GO
2 THROUGH THIS PROCESS FOR PATIENTS AND PATIENT'S
3 REPRESENTATIVES. FOR SCIENTISTS, CEO'S OF BIOTECH
4 COMPANIES, IT'S VERY CHALLENGING AS WELL, BUT WE ARE
5 USED TO THIS PROCESS OF HAVING A TIME TO GO THROUGH
6 A SCREENING AND EVALUATION PROCESS AND BE REJECTED.
7 FOR PATIENTS, ANY REJECTION IS A PERSONAL MATTER AND
8 IS PROBABLY EXTRAORDINARILY HARD TO HEAR THAT.

9 I'D LIKE TO MAYBE HELP YOU A LITTLE BIT GO
10 THROUGH THAT BY PROVIDING YOU A BETTER UNDERSTANDING
11 OF THE PROCESS WHICH HAS BEEN SO WELL DESCRIBED SO
12 FAR AND WHICH HAVE GONE THROUGH OVER THE PAST 12
13 YEARS PLUS OF BEING ON THAT BOARD. WE DO RELY ON AN
14 EXCELLENT PROCESS, EXTREMELY SELECTIVE, BUT
15 EXCELLENT PROCESS OF THE GRANT WORKING GROUP.

16 THE ROLE OF THE BOARD IS TO REVIEW THIS
17 PROCESS AND POTENTIALLY, WHENEVER APPROPRIATE, TO
18 CHALLENGE IT. BUT GENERALLY I DON'T CHALLENGE IT
19 BECAUSE I WANT TO SUPPORT THE PROCESS OF MAKING THE
20 SELECTION OF THESE APPLICATIONS.

21 WE CAN OFFER TO CHALLENGE IT A LITTLE BIT
22 WHEN WE HAVE SUFFICIENT MONEY POTENTIALLY TO DO SO.
23 IN THAT CASE IT'S A SPECIAL SITUATION WHEREBY
24 FUNDING ONLY THOSE THAT ARE RECOMMENDED, WE WILL
25 HAVE ALREADY MAXED OUT OUR BUDGET. SO WE DON'T HAVE

BETH C. DRAIN, CA CSR NO. 7152

1 EVEN THAT LUXURY WHICH WE SHOULD VERY RARELY USE. I
2 HOPE THAT HELPS. AND I STILL WANT TO SAY THAT IT'S
3 EXTRAORDINARILY DIFFICULT PARTICULARLY FOR PATIENTS
4 AND PATIENT'S REPRESENTATIVES. OVER.

5 CHAIRMAN IMBASCIANI: THANK YOU,
6 ANNE-MARIE. THAT WAS BEAUTIFULLY PUT. APPRECIATE
7 THAT.

8 ANY FURTHER COMMENT BEFORE WE PROCEED TO A
9 VOTE? DO YOU SEE ANY HANDS? NO.

10 MS. MORALEZ: THERE ARE NO HANDS RAISED.

11 MS. MANDAC: NO HANDS.

12 CHAIRMAN IMBASCIANI: OKAY. ALL RIGHT.
13 THANK YOU. BEN, IT'S ALL YOURS.

14 MR. HUANG: DAN BERNAL.

15 MR. BERNAL: AYE.

16 MR. HUANG: JUDY CHOU.

17 DR. CHOU: YES.

18 MR. HUANG: LEONDRA CLARK-HARVEY.

19 DR. CLARK-HARVEY: AYE.

20 MR. HUANG: ANNE-MARIE DULIEGE.

21 DR. DULIEGE: YES.

22 MR. HUANG: MARK FISCHER-COLBRIE.

23 MR. FISCHER-COLBRIE: YES.

24 MR. HUANG: FRED FISHER.

25 DR. FISHER: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. HUANG: ELENA FLOWERS.
2 DR. FLOWERS: YES.
3 MR. HUANG: DAVID HIGGINS.
4 DR. HIGGINS: YES.
5 MR. HUANG: VITO IMBASCIANI.
6 CHAIRMAN IMBASCIANI: YES.
7 MR. HUANG: RICH LAJARA.
8 MR. LAJARA: YES.
9 MR. HUANG: LAUREN MILLER-ROGEN.
10 MS. MILLER-ROGEN: YES.
11 MR. HUANG: ADRIANA PADILLA.
12 DR. PADILLA: YES.
13 MR. HUANG: JOE PANETTA.
14 MR. PANETTA: YES.
15 MR. HUANG: MARVIN SOUTHARD.
16 DR. SOUTHARD: YES.
17 MR. HUANG: KEVIN XU.
18 DR. XU: YES.
19 MR. HUANG: THE MOTION PASSES. THANK YOU.
20 CHAIRMAN IMBASCIANI: THANK YOU. THANK
21 YOU, BOARD MEMBERS AND MEMBERS OF THE PUBLIC, FOR
22 THIS VERY INVIGORATING CONVERSATION.
23 DO WE -- IS THERE -- AT THIS POINT IS
24 THERE ANY MEMBERS OF THE PUBLIC WHO WANTS TO RAISE
25 ANY GENERAL ISSUE OR ANY ISSUE NOT ON TODAY'S

BETH C. DRAIN, CA CSR NO. 7152

1 AGENDA?

2 MS. MORALEZ: THERE ARE NO HANDS.

3 CHAIRMAN IMBASCIANI: HEARING NONE, I'M
4 GOING TO THANK THE BOARD MEMBERS AGAIN FOR THEIR
5 WONDERFUL PARTICIPATION AND PREPARATION FOR THIS
6 MEETING. AND I'M GOING TO ADJOURN THE MEETING.
7 THANK YOU. SEE YOU NEXT MONTH.

8 MS. MANDAC: THANK YOU VERY MUCH.

9 (THE MEETING WAS THEN CONCLUDED AT 10:28 A.M.)

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE VIRTUAL PROCEEDINGS BEFORE THE APPLICATION REVIEW SUBCOMMITTEE OF THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD ON MARCH 30, 2024, WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CA CSR 7152
133 HENNA COURT
SANDPOINT, IDAHO
(208) 920-3543