

BETH C. DRAIN, CA CSR NO. 7152

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
AND THE
APPLICATION REVIEW SUBCOMMITTEE OF THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: HYATT REGENCY SAN FRANCISCO
AIRPORT, SEQUOIA A
1333 OLD BAYSHORE HIGHWAY
BURLINGANE, CA 94010

DATE: JUNE 27, 2024
9 A.M.

REPORTER: BETH C. DRAIN, CA CSR
CSR. NO. 7152

FILE NO.: 2024-29

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

I N D E X

ITEM DESCRIPTION	PAGE NO.
OPEN SESSION	
1. CALL TO ORDER	4
2. ROLL CALL	4
3. CHAIR'S REPORT	7
4. INTERIM PRESIDENT'S REPORT	13
SHYAM PATEL	17
KOREN TEMPLE-PERRY	21
UTA GRIESHAMMER	25
CHAN LEK TAN	32
CONSENT CALENDAR	45
5. CONSIDERATION OF MINUTES FROM MARCH 28 ICOC/ARS MEETING, APRIL 25 ARS MEETING, AND MAY 30 ARS MEETING	
6. CONSIDERATION OF APPOINTMENT OF SCIENTIFIC MEMBERS TO THE GRANTS WORKING GROUP	
7. CONSIDERATION OF APPOINTMENT OF A MEMBER TO THE ACCESSIBILITY & AFFORDABILITY WORKING GROUP	
8. APPROVAL OF REQUESTS TO ATTEND REMOTELY (GOV'T CODE SECTION 11123.2(J))	
OPEN SESSION	
9. CONSIDERATION OF APPOINTMENT OF CHAIR TO THE TASK FORCE ON NEUROSCIENCE AND MEDICINE	48
10. CONSIDERATION OF APPLICATIONS SUBMITTED IN RESPONSE TO CLINICAL TRIAL STAGE PROJECTS PROGRAM ANNOUNCEMENTS (CLIN 1 OR 2)	97

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

I N D E X (CONT'D.)

11. CONSIDERATION OF CIRM INTERIM RESEARCH BUDGET FOR FY '24-'25	51
12. CONSIDERATION OF CIRM ADMINISTRATIVE BUDGET FOR FY '24-'25	84
13. CONSIDERATION OF CLINICAL PROGRAM APPLICATION PROCESSING AND REVISIONS TO GRANTS WORKING GROUP BYLAWS AND CLIN1/2 CONCEPT PLANS	137
14. CONSIDERATION OF RECOMMENDATIONS FROM GOVERNANCE SUBCOMMITTEE REGARDING COMPENSATION POLICY AND RELOCATION POLICY	174
CLOSED SESSION	48
15. DISCUSSION OF CONFIDENTIAL INTELLECTUAL PROPERTY OR WORK PRODUCT, PREPUBLICATION DATA, FINANCIAL INFORMATION, CONFIDENTIAL SCIENTIFIC RESEARCH OR DATA, AND OTHER PROPRIETARY INFORMATION RELATING TO APPLICATIONS SUBMITTED IN RESPONSE TO AGENDA ITEM 10 (HEALTH & SAFETY CODE 125290.30(F) (3) (B) AND (C)).	
16. DISCUSSION OF PERSONNEL [EVALUATION OF ICOC CHAIR AND ICOC VICE-CHAIR] (GOVERNMENT CODE SECTION 11126, SUBDIVISION (A); HEALTH & SAFETY CODE SECTION 125290.30(F) (3) (D))	
OPEN SESSION	
17. UPDATE FROM PRESIDENTIAL SEARCH SUBCOMMITTEE	217
18. UPDATE ON STRATEGIC ALLOCATION FRAMEWORK	181
19. UPDATE ON COMMUNICATIONS	--
20. GENERAL COMMENTS ON ARS PROCESS	NONE-
21. PUBLIC COMMENT	NONE
22. ADJOURNMENT	220

BETH C. DRAIN, CA CSR NO. 7152

1 SOUTH SAN FRANCISCO, CALIFORNIA; 9 A.M.

2

3 CHAIRMAN IMBASCIANI: GREAT. GOOD
4 MORNING, EVERYONE. I WOULD LIKE TO CONVENE TO ORDER
5 THIS 160TH MEETING OF THE ICOC AND THE 56TH MEETING
6 OF THE APPLICATION REVIEW SUBCOMMITTEE. I WANT TO
7 THANK ALL THE BOARD MEMBERS WHO ARE ATTENDING IN
8 PERSON AND VIRTUALLY. I WANT TO THANK THE MEMBERS
9 OF THE PUBLIC WHO ARE JOINING US BOTH IN PERSON AND
10 THOSE WHO HAVE SENT WRITTEN MATERIALS AND PETITIONS
11 TO THIS BOARD. WE TAKE ALL SUCH SUBMISSIONS TO THE
12 BOARD SERIOUSLY. WE ENJOY READING YOUR MATERIALS
13 AND THANK YOU FOR PARTICIPATING.

14 SO I'M GOING TO ASK YOU ALL TO RISE, AND
15 WE'LL FORMALLY CONVENE THE MEETING WITH THE PLEDGE
16 OF ALLEGIANCE.

17 (THE PLEDGE OF ALLEGIANCE.)

18 CHAIRMAN IMBASCIANI: THANK YOU. MR.
19 TOCHER, WOULD YOU PLEASE TAKE THE ROLL.

20 MR. TOCHER: YES. MOHAMED ABOUSALEM.

21 DR. ABOUSALEM: PRESENT.

22 MR. TOCHER: EYAD ALMASRI.

23 DR. ALMASRI: HERE.

24 MR. TOCHER: KIM BARRETT.

25 DR. BARRETT: PRESENT.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. TOCHER: DAN BERNAL. GEORGE
2 BLUMENTHAL.
3 DR. BLUMENTHAL: HERE.
4 MR. TOCHER: MARIA BONNEVILLE.
5 VICE CHAIR BONNEVILLE: PRESENT.
6 MR. TOCHER: LINDA BOXER. JUDY CHOU.
7 DR. CHOU: PRESENT.
8 MR. TOCHER: CAROL CHRIST. DEBORAH DEAS.
9 DR. DEAS: PRESENT.
10 MR. TOCHER: LEONDRA CLARK-HARVEY.
11 DR. CLARK-HARVEY: PRESENT.
12 MR. TOCHER: ANNE-MARIE DULIEGE.
13 DR. DULIEGE: PRESENT.
14 MR. TOCHER: YSABEL DURON.
15 MS. DURON: PRESENT.
16 MR. TOCHER: SHLOMO MELMED.
17 DR. MELMED: PRESENT.
18 MR. TOCHER: MARK FISCHER-COLBRIE.
19 DR. FISCHER-COLBRIE: PRESENT.
20 MR. TOCHER: FRED FISHER.
21 DR. FISHER: PRESENT.
22 MR. TOCHER: ELENA FLOWERS. JUDY GASSON.
23 DR. GASSON: HERE.
24 MR. TOCHER: DAVID HIGGINS.
25 DR. HIGGINS: PRESENT.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. TOCHER: VITO IMBASCIANI.
2 CHAIRMAN IMBASCIANI: PRESENT.
3 MR. TOCHER: STEPHEN JUELSGAARD.
4 MR. JUELSGAARD: PRESENT.
5 MR. TOCHER: RICH LAJARA.
6 MR. LAJARA: PRESENT.
7 MR. TOCHER: PAT LEVITT.
8 DR. LEVITT: PRESENT.
9 MR. TOCHER: LINDA MALKAS.
10 DR. MALKAS: PRESENT.
11 MR. TOCHER: CAROLYN MELTZER.
12 DR. MELTZER: PRESENT.
13 MR. TOCHER: CHRISTINE MIASKOWSKI.
14 DR. MIASKOWSKI: PRESENT.
15 MR. TOCHER: LAUREN MILLER-ROGEN. ADRIANA
16 PADILLA.
17 DR. PADILLA: HERE.
18 MR. TOCHER: JOE PANETTA.
19 MR. PANETTA: HERE.
20 MR. TOCHER: MARVIN SOUTHARD.
21 DR. SOUTHARD: HERE.
22 MR. TOCHER: MICHAEL STAMOS.
23 DR. STAMOS: PRESENT.
24 MR. TOCHER: KAROL WATSON. KEVIN XU.
25 MR. XU: HERE.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. TOCHER: KEITH YAMAMOTO.

2 GREAT. THANK YOU. WE HAVE A QUORUM.

3 CHAIRMAN IMBASCIANI: THANK YOU VERY MUCH.

4 SO I'D LIKE TO ADDRESS THE BOARD -- FIRST OF ALL, I
5 WANT TO WELCOME TO THE BOARD TWO NEW MEMBERS THAT
6 ARE HERE WITH US TODAY IN PERSON: DR. CAROLYN
7 MELTZER AND DR. EYAD ALMASRI.

8 DR. MELTZER IS THE APPOINTEE OF LIEUTENANT
9 GOVERNOR ELENI KOUNALAKIS. SHE IS THE MAY AND JOHN
10 HOOVAL DEAN'S CHAIR IN MEDICINE AND THE DEAN OF THE
11 KECK SCHOOL OF MEDICINE FOR USC. HER MEDICAL DEGREE
12 WAS TAKEN AT JOHNS HOPKINS HOSPITAL. SHE'S AN
13 EXPERT IN NEURORADIOLOGY AND NUCLEAR MEDICINE AND
14 HAS PERFORMED NIH-FUNDED RESEARCH ON BRAIN STRUCTURE
15 AND FUNCTION IN NORMAL AGING AND IN DISEASE STATES
16 SUCH AS DEMENTIA, ALZHEIMER'S, AND PSYCHIATRIC
17 DISORDERS.

18 CAROLYN, WOULD YOU LIKE TO SAY WELCOME TO
19 THE BOARD?

20 DR. MELTZER: DELIGHTED TO BE HERE. THANK
21 YOU SO MUCH. I LOOK FORWARD TO CONTRIBUTING AND
22 LEARNING.

23 CHAIRMAN IMBASCIANI: THANK YOU. AND
24 WELCOME.

25 DR. ALMASRI IS APPOINTED BY THE UCSF

BETH C. DRAIN, CA CSR NO. 7152

1 CHANCELLOR. HE'S REPLACING HAIFAA ABDULHAQ. DR.
2 ALMASRI IS A PHYSICIAN WITH AN ACTIVE PRACTICE IN
3 PULMONARY AND CRITICAL CARE MEDICINE AND SLEEP
4 MEDICINE AT THE UCSF FRESNO CLINIC. DR. ALMASRI
5 TRAINED AT THE UNIVERSITY OF PITTSBURGH FOR ONE OF
6 HIS SEVERAL FELLOWSHIPS.

7 DR. ALMASRI: THANK YOU FOR HAVING ME. MY
8 NAME IS EYAD ALMASRI. I ALSO SERVED AS THE
9 ASSISTANT DEAN FOR RESEARCH AT UCSF FRESNO. AND I
10 DO HAVE EXPERIENCE WITH STEM CELL RESEARCH. I DID
11 COUPLE STUDIES WITH ARDS RELATED TO COVID-19,
12 APPLYING STEM CELLS. THANK YOU FOR HAVING ME, AND
13 GLAD TO BE HERE.

14 CHAIRMAN IMBASCIANI: WELCOME, DR.
15 ALMASRI.

16 TODAY'S MEETING, SADLY, IS ALSO THE LAST
17 ONE FOR ONE OF OUR DISTINGUISHED MEMBERS. DR.
18 MOHAMED ABOUSALEM, APPOINTED TO THIS BOARD BY
19 GOVERNOR NEWSOM, HAS BEEN NAMED THE THIRD PRESIDENT
20 OF THE KECK GRADUATE INSTITUTE, ONE OF THE SEVEN
21 INDEPENDENT COLLEGES THAT COMPRISES THE CLAREMONT
22 COLLEGES AND ONE OF ITS TWO SCHOOLS THAT OFFER POST
23 BACCALAUREATE DEGREES SPECIFICALLY IN AREAS IN WHICH
24 HE KNOWS AN AWFUL LOT, LIFE SCIENCE, COMMUNITY
25 MEDICINE, AND PHARMACOLOGY, AND HEALTH SCIENCE.

BETH C. DRAIN, CA CSR NO. 7152

1 MOHAMED HAS RECENTLY CHAIRED OUR IP AND INDUSTRY
2 SUBCOMMITTEE AND HAS BEEN A MEMBER OF THE
3 PRESIDENTIAL SEARCH COMMITTEE.

4 WE WISH DR. ABOUSALEM GREAT SUCCESS IN HIS
5 NEW UNDERTAKING.

6 (APPLAUSE.)

7 CHAIRMAN IMBASCIANI: I'M GOING TO BEGIN
8 MY REPORT BY RECAPITULATING A LITTLE ON RECENT
9 HISTORY MOSTLY FOR THE BENEFIT OF ALL OF OUR NEW
10 BOARD MEMBERS ON HOW WE GOT TO WHERE WE ARE TODAY,
11 HALFWAY THROUGH A PROCESS THAT IS LOOKING AT HOW
12 CIRM WILL MOVE FORWARD IN A MANNER THAT ENSURES WE
13 WILL UTILIZE OUR ASSETS IN THE MOST EFFICIENT AND
14 PRODUCTIVE MANNER POSSIBLE WHILE CONTINUING TO
15 ADDRESS UNMET MEDICAL NEEDS AND FULFILL OUR STATED
16 MISSION.

17 EIGHTEEN MONTHS AGO, WHEN I TRAVELED ABOUT
18 THE STATE TO INTRODUCE MYSELF TO THE BOARD, I HEARD
19 AN ALMOST UNANIMOUS CONCERN OVER TWO ISSUES. HOW
20 WOULD CIRM COMMIT ITSELF TO OUR OBLIGATION TO
21 ADDRESS THE WORLD OF NEUROLOGIC DISORDERS? AND HOW
22 WOULD WE SUSTAIN OURSELVES AS WE APPROACH THAT POINT
23 WHERE MORE TREATMENTS AND CURES ARE BECOMING
24 AVAILABLE?

25 THE NEURO TASK FORCE CAME INTO EXISTENCE

BETH C. DRAIN, CA CSR NO. 7152

1 EARLY IN 2023. AND I ASKED THE SCIENCE SUBCOMMITTEE
2 TO STUDY ISSUES AROUND PRIORITIZATION AND REPORT
3 BACK TO THIS BOARD. THE LEADERSHIP TEAM, UNDER THE
4 EXPERT AND INSPIRED LEADERSHIP OF OUR INTERIM CEO
5 AND PRESIDENT, J.T., LAUNCHED A COMPREHENSIVE STUDY
6 OF OUR PORTFOLIO AND THE SCIENTIFIC LANDSCAPE, A
7 STUDY THAT WAS AND CONTINUES TO BE SOPHISTICATED,
8 DEEP, DATA DRIVEN, AND STATISTICALLY GROUNDED.

9 WE WERE INTRODUCED TO THIS PROCESS AT OUR
10 LAST BOARD MEETING IN MARCH. TODAY WE WILL HEAR A
11 SUBSTANTIAL PROGRESS REPORT ON IT. AND THIS JOURNEY
12 WILL IDEALLY CULMINATE IN A SERIES OF
13 RECOMMENDATIONS FROM THE SCIENCE SUBCOMMITTEE THAT
14 WILL COME BEFORE THIS BOARD AT THE SEPTEMBER
15 MEETING.

16 THAT SEPTEMBER MEETING WILL MARK A
17 SIGNIFICANT PIVOT POINT IN CIRM'S HISTORY AS IT WILL
18 COME TO BE WRITTEN BECAUSE THE BOARD, ACKNOWLEDGING
19 OUR NOW MORE LIMITED RESOURCES AND THE EVER
20 INCREASING DEMANDS ON THOSE RESOURCES FROM THE
21 SCIENTIFIC COMMUNITY, DEMANDS THAT WE WILL NEVER BE
22 ABLE TO FULLY SATISFY. THE BOARD WILL ENGAGE IN A
23 FUNDAMENTAL DEBATE WHETHER WE CONTINUE OUR OPEN-DOOR
24 POLICY OR BEGIN TO NARROW OUR SUPPORT TO SPECIFIC
25 AREAS OF INVESTIGATION, ESPECIALLY ONES WHERE WE CAN

BETH C. DRAIN, CA CSR NO. 7152

1 MAKE MAJOR BREAKTHROUGHS BY OPENING UP BOTTLENECKS
2 OR PUSHING TRIALS THROUGH TO COMMERCIAL LICENSURE.

3 THIS WILL REQUIRE A LITTLE MORE THAN A
4 USUAL DEGREE OF DILIGENCE. SO AS BOARD MEMBERS
5 REVIEW THE MATERIALS FOR THE SEPTEMBER MEETING, IF
6 ANY QUESTIONS ARISE, I ENCOURAGE YOU TO REACH OUT TO
7 YOUR BOARD LEADERSHIP OR TO MEMBERS OF THE
8 LEADERSHIP TEAM FOR EXPLANATIONS PRIOR TO THE
9 MEETING. AND I THANK YOU FOR THAT IN ADVANCE.

10 ON SOME OTHER MATTERS, ON MAY 29TH CIRM
11 LEADERSHIP MET WITH CONTROLLER MALIA COHEN AND THE
12 CITIZENS FINANCE AND ACCOUNTABILITY OVERSIGHT
13 COMMITTEE FOR OUR ANNUAL REVIEW. CEO J.T. THOMAS
14 MADE THE FORMAL PRESENTATION ON BEHALF OF CIRM, AND
15 HE WILL DESCRIBE IT IN HIS REPORT.

16 PERSONALLY I WANT TO THANK TWO MEMBERS OF
17 THIS BOARD FOR ASSISTING THE CHAIR IN A RECENT
18 ENDEAVOR. I WAS INVITED TO GIVE THE KEYNOTE ADDRESS
19 TO THE ANNUAL ALPHA CLINIC NURSE SYMPOSIUM, NURSE
20 EDUCATION SYMPOSIUM, A MEETING HELD AT CITY OF HOPE
21 IN APRIL. IN ATTENDANCE WERE OVER 150 NURSES FROM
22 ALL OVER CALIFORNIA WHO ARE INVOLVED IN RESEARCH,
23 EDUCATION, AND CLINICAL TRIALS INVOLVING
24 REGENERATIVE MEDICINE.

25 I WANT TO THANK BOARD MEMBER CHRISTINE

BETH C. DRAIN, CA CSR NO. 7152

1 MIASKOWSKI AND ELENA FLOWERS FOR THE TREMENDOUS
2 EFFORT THEY PUT IN HELPING ME DEVELOP THE THEMES AND
3 FOR SCOURING OVER MULTIPLE DRAFTS OF MY ADDRESS, THE
4 THEME OF WHICH WAS TO ENCOURAGE THE NURSING
5 PROFESSION TO BEGIN DEVELOPING A COMPREHENSIVE
6 CURRICULUM FOR NURSES WHO ARE INVOLVED IN THE
7 DELIVERY OF GENE AND CELL THERAPIES. THE ADDRESS
8 WAS WELL RECEIVED, BUT I COULD NOT HAVE DONE THAT
9 WITHOUT THEIR HELP.

10 ON OTHER MATTERS, THE VICE CHAIR MARIA
11 REPORTED AT OUR LAST MEETING, THE MEETINGS THAT WERE
12 HELD WITH VARIOUS MEMBERS OF THE LEGISLATURE IN
13 SACRAMENTO. I'M DELIGHTED TO REPORT THAT OVER THE
14 COURSE OF THIS SPRING, THE VICE CHAIR AND I HAVE HAD
15 PRODUCTIVE MEETINGS WITH MEMBERS OF THE EXECUTIVE
16 BRANCH OF GOVERNMENT, SPECIFICALLY THE DIRECTOR OF
17 THE GOVERNOR'S OFFICE OF BUSINESS AND ECONOMIC
18 DEVELOPMENT, DEE DEE MEYERS; THE SECRETARY OF HEALTH
19 AND HUMAN SERVICES AGENCY, DR. MARK GHALY; THE
20 DIRECTOR OF THE DEPARTMENT OF AGING, SUSAN DEMARIS;
21 THE SURGEON GENERAL OF THE STATE OF CALIFORNIA,
22 DR. DIANA RAMOS. LAST WEEK'S MEETING WITH THE
23 DIRECTOR OF THE DEPARTMENT OF DEVELOPMENTAL
24 SERVICES, NANCY BARGMAN, WAS MOVED TO A FUTURE DATE.
25 AND FINALLY, WITH THE VICE PRESIDENT FOR HEALTH FOR

BETH C. DRAIN, CA CSR NO. 7152

1 THE ENTIRE UC SYSTEM, DR. DAVID RUBIN.

2 THIS CONTINUES OUR OUTREACH TO KEY
3 GOVERNMENT OFFICIALS TO APPRISE THEM OF CIRM'S
4 MISSION AND ACCOMPLISHMENTS AND TO SEEK OUT COMMON
5 AREAS OF INTEREST.

6 FINALLY, SINCE THIS IS THE CLOSING MEETING
7 OF THE 2023/24 FISCAL YEAR, A FEW NUMBERS OF NOTE.
8 DURING THE FISCAL YEAR ABOUT TO END, CIRM HAS
9 AWARDED 105 INDIVIDUAL GRANTS PLUS SUPPLEMENTS FOR
10 18 CIRM SCHOLARS PROGRAMS. THESE GRANTS ENCUMBERED
11 \$377.5 MILLION. A TOTAL OF 300 MILLION WAS
12 DISBURSED TO EXISTING GRANTEES DURING THIS SAME YEAR
13 PAST. AND FINALLY, A REMINDER, THE TREASURER'S
14 OFFICE APPROVED THIS PAST FEBRUARY A MAXIMUM BOND
15 AUTHORITY FOR \$680 MILLION, BRINGING OUR TOTAL BOND
16 AUTHORITY SINCE THE PASSAGE OF PROP 14 TO \$1.36
17 BILLION.

18 THAT CONCLUDES MY REPORT TO THE BOARD.
19 THANK YOU.

20 THERE'S NOT GOING TO BE A VICE CHAIR'S
21 REPORT. SO WE'RE GOING TO MOVE ON TO AGENDA ITEM
22 NO. 4, OUR INTERIM PRESIDENT AND CEO JONATHAN THOMAS
23 WILL GIVE HIS PRESIDENT'S REPORT. THANK YOU, J.T.

24 DR. THOMAS: THANK YOU, MR. CHAIR. MR.
25 CHAIR, MADAM VICE CHAIR, MEMBERS OF THE BOARD, MY

BETH C. DRAIN, CA CSR NO. 7152

1 OUTSTANDING TEAM, AND MEMBERS OF THE PUBLIC.

2 SUCCESS IS A TEAM SPORT. AND NOWHERE IS
3 THAT MORE EVIDENT THAN AT CIRM TODAY, WHICH, AS I'VE
4 REPORTED TO YOU IN THE PAST, HAS EMBARKED ON A VERY
5 AMBITIOUS SERIES OF HEAVY LIFTS TO ADDRESS ISSUES
6 AND DIRECTION IN OUR STRATEGIC PLAN AND TO FIGURE
7 OUT HOW BEST TO BOTH OPERATE AND DEPLOY FUNDS THAT
8 WE HAVE REMAINING UNDER PROPOSITION 14 GOING
9 FORWARD.

10 YOU WILL HEAR FROM A NUMBER OF MEMBERS OF
11 OUR TEAM IN THIS PRESIDENT'S REPORT AS I THINK THAT
12 IT'S ALWAYS BEST TO HAVE THE PEOPLE WHO ARE DIRECTLY
13 MOST INVOLVED AND INFORMED TALK TO YOU ABOUT A
14 NUMBER OF THE FEATS THAT WE HAVE ACCOMPLISHED SINCE
15 I SPOKE TO YOU LAST MARCH. WE'RE GOING TO START, I
16 WILL DO A FEW QUICK COMMENTS. THAT WILL BE FOLLOWED
17 BY KOREN TEMPLE-PERRY, WHO WILL TALK TO YOU ABOUT
18 ADVANCES WE'VE MADE IN LOGO AND BRANDING. DR. SHYAM
19 PATEL WILL THEN SPEAK BRIEFLY ON THE ADVANCES IN OUR
20 MANUFACTURING NETWORK. DR. CHAN LEK TAN FROM THE
21 SCIENTIFIC PROGRAM AND EDUCATION COMMITTEE WILL
22 SPEAK TO YOU ABOUT THE REMIND PROGRAM, WHICH
23 CULMINATED IN A HIGHLY SUCCESSFUL GWG LAST WEEK.
24 DR. UTA GRIESHAMMER WILL FOLLOW THAT WITH A
25 DESCRIPTION OF THE SECOND PART OF THE EQUALLY

BETH C. DRAIN, CA CSR NO. 7152

1 SUCCESSFUL SHARED LABS PROGRAM, GWG AND FWG. THAT
2 STANDS FOR FACILITIES WORKING GROUP. THAT WAS A
3 BIFURCATED EFFORT.

4 THEN LATER IN THE PROGRAM, AS PART OF THE
5 PRESIDENT'S REPORT, BUT ON ITS OWN, GIVEN THE
6 GRAVITY AND LENGTH OF THE REPORT, DR. ROSA
7 CANET-AVILES WILL REPORT TO YOU ON THE STATUS OF OUR
8 STRATEGIC ALLOCATION FRAMEWORK EFFORT THAT WE ARE
9 TWO-THIRDS OF THE WAY THROUGH EN ROUTE TO A SERIES
10 OF RECOMMENDATIONS ON THE IDEAS TO PUT FORTH TO THE
11 BOARD FOR CONSIDERATION IN THE SEPTEMBER BOARD
12 MEETING AS ALLUDED TO BY VITO.

13 I WANT TO NOTE, IN CONNECTION WITH THE
14 STRATEGIC ALLOCATION FRAMEWORK, AND ACKNOWLEDGE IN
15 APPRECIATION A LETTER WE RECEIVED FROM A THOUSAND
16 PLUS MEMBERS OF THE RARE DISEASE COMMUNITY WHO
17 EXPRESSED THEIR THOUGHTS ABOUT HOW RARE DISEASE
18 SHOULD FACTOR INTO OUR PROGRAM GOING FORWARD AS IT
19 WILL BE DETERMINED IN SEPTEMBER.

20 I WANT TO SAY TO THOSE WHO SUBMITTED THIS
21 VERY MATERIAL PIECE OF ADVICE THAT WE APPRECIATE
22 THAT YOU SUBMITTED IT. WE HEAR YOU. AND WE GREATLY
23 APPRECIATE ALL STAKEHOLDER INPUT AS WE CONTINUE TO
24 EMBARK ON THIS PROCESS BECAUSE, AT THE END OF THE
25 DAY, THIS IS ALL ABOUT THE STAKEHOLDERS. SO THANK

BETH C. DRAIN, CA CSR NO. 7152

1 YOU VERY MUCH TO THAT LARGE GROUP.

2 OKAY. AS I SAID, WE'VE HAD A LOT OF WORK
3 GOING ON. ONE OF THE MAJOR EFFORTS WAS FLOW
4 CONTROL, WHICH YOU'VE HEARD ABOUT. THAT'S
5 CULMINATING IN TODAY'S BOARD MEETING BY A
6 PRESENTATION BY DR. GIL SAMBRANO, WHO WILL BE
7 SPEAKING TO YOU ABOUT THE SUGGESTED CHANGES OR
8 AMENDMENTS TO THE REVIEW APPLICATION PROCESS WHICH
9 HAS BEEN THE PRODUCT OF SEVERAL MONTHS WORTH OF HARD
10 WORK AND I THINK WILL MATERIALLY IMPROVE OUR PROCESS
11 GOING FORWARD IN THAT REGARD.

12 VITO ALLUDED TO THE MEETING WE HAD WITH
13 THE STATE CONTROLLER, MALIA COHEN, AND THE CITIZENS
14 FINANCIAL ACCOUNTABILITY OVERSIGHT COMMITTEE, CFAOC,
15 WHICH WAS A VERY IMPORTANT MEETING. THIS WAS PART 2
16 OF A MEETING THAT STARTED LAST DECEMBER IN WHICH THE
17 FINANCIAL STATE OF CIRM WAS REVIEWED HERE AT THIS
18 MEETING. THIS WAS AN OPPORTUNITY TO TALK TO THE
19 CONTROLLER AND THE MEMBERS OF HER COMMITTEE WHICH I
20 WOULD LIKE TO POINT OUT IS ALWAYS AN AUGUST GROUP.
21 IT HAD AN ADDITIONAL PERSONAL TOUCH THIS YEAR BY THE
22 INCLUSION OF OUR ESTEEMED FORMER COLLEAGUE AL
23 ROWLETT, WHO NOW FILLED OUT THAT COMMITTEE AND WAS
24 ABLE TO BRING, OBVIOUSLY, MANY YEARS OF INSIGHT INTO
25 THAT DISCUSSION.

BETH C. DRAIN, CA CSR NO. 7152

1 I GAVE A PRESENTATION THAT WAS LARGELY PUT
2 TOGETHER BY MEMBERS OF OUR TEAM, SARA -- SARA, ARE
3 YOU HERE? -- SARA AND DOUG WHO WORKED VERY
4 DILIGENTLY COMPILING THIS. I WAS THE BENEFICIARY,
5 GOT TO PRESENT, TALKED ABOUT ALL THE COOL STUFF
6 WE'RE DOING AT CIRM. AND THAT IS ALWAYS SOMETHING
7 THAT IS VERY INTERESTING AND APPRECIATED.

8 THE SECOND PART OF THE MEETING WAS RAFAEL
9 GAVE A REPORT TO THE CFAOC ON STEPS TAKEN TO ADDRESS
10 POINTS RAISED IN OUR MOST RECENT PERFORMANCE AUDIT.
11 THOSE TOO WERE GREATLY APPRECIATED. RAFAEL DID AN
12 EXCELLENT JOB. AND THE NET RESULT OF THE MEETING
13 WAS, I THINK FROM WHAT WE COULD TELL FROM THE
14 RESPONSE, WAS VERY -- EVERYBODY THOUGHT CIRM WAS IN
15 GOOD HANDS ACROSS THE BOARD, THAT WE'RE PURSUING
16 PROGRAMS OF GREAT NOTE. AND IT WAS, IN MY
17 ESTIMATION, A COMPLETE SUCCESS. SO THANK YOU TO ALL
18 OF YOU WHO CONTRIBUTED THERE.

19 OKAY. NOW WE'RE GOING TO GET INTO THE
20 FIRST OF THESE BRIEF COMMENTS BY MEMBERS OF THE TEAM
21 WHOM I REALLY WANT TO YOU HEAR FROM BECAUSE, AS I
22 SAY, THEY'RE THE ONES ON THE FRONT LINE ON THESE
23 THINGS. DID I HEAR SHYAM IS FIRST? SHYAM IS FIRST.
24 GOT THAT ORDER A LITTLE BIT WRONG, BUT THAT'S OKAY.

25 DR. PATEL: THANK YOU, J.T. AND TO THE

BETH C. DRAIN, CA CSR NO. 7152

1 BOARD, FOR ALLOWING ME TO GIVE THIS UPDATE TODAY ON
2 THE MANUFACTURING NETWORK. SO TWO YEARS AGO THE
3 BOARD APPROVED A CONCEPT PLAN THAT ENVISIONED THE
4 FORMATION OF A CELL AND GENE THERAPY MANUFACTURING
5 NETWORK IN CALIFORNIA THAT WOULD BE COMPOSED OF
6 ACADEMIC AND INDUSTRY COLLABORATORS. THE CONCEPT
7 PLAN ENVISIONED DOING THIS WITH A SERIES OF TWO
8 RFA'S, THE FIRST OF WHICH WILL BE DIRECTED TOWARD
9 ACADEMIC GMP MANUFACTURING FACILITIES WHICH, BY THE
10 WAY, SUPPORT THE MAJORITY OF CIRM'S CLIN1 AND CLIN2
11 PORTFOLIO PROGRAMS, INCLUDING THOSE TARGETING RARE
12 DISEASES.

13 SO PRIOR TO THIS FUNDING OPPORTUNITY BEING
14 IN PLACE, THESE ACADEMIC GMP FACILITIES, SAVE A FEW
15 EXCEPTIONS, WEREN'T USED TO HAVING A LOT OF
16 INTERACTION AND COORDINATION WITH EACH OTHER. SO
17 THE INTENT OF THIS FUNDING OPPORTUNITY WAS TWOFOLD.
18 THE FIRST WAS TO ENABLE THESE FACILITIES TO MAKE
19 OPERATIONAL ENHANCEMENTS AT THEIR INDIVIDUAL
20 FACILITIES FOR MANUFACTURING, QUALITY, AS WELL AS
21 WORKFORCE DEVELOPMENT. AND THE SECOND INTENT WAS TO
22 FACILITATE COMMUNICATION AND COLLABORATION BETWEEN
23 THE NINE FACILITIES.

24 SO LAST YEAR THE BOARD APPROVED NINE
25 AWARDS. THESE INCLUDED AWARDS TO UC DAVIS, UC SAN

BETH C. DRAIN, CA CSR NO. 7152

1 FRANCISCO, STANFORD -- I'M HOPING I'M GOING TO GET
2 THIS RIGHT -- UCLA, CEDARS-SINAI, USC, CITY OF HOPE,
3 AND UC IRVINE, AND UCSD. I APOLOGIZE IF I MISSED
4 ANY OF THEM.

5 SO TODAY I'M GOING TO GIVE YOU AN UPDATE
6 AS TO THE EXTREME EFFORT THAT THEY'VE MADE. IN
7 ADDITION TO THAT, THE CIRM TEAM HAS BEEN DILIGENTLY
8 WORKING TO BRING ON BOARD INDUSTRY PARTNERS AS WELL.
9 SO I WANT TO ACKNOWLEDGE THAT THESE NINE FACILITIES
10 PUT IN A LOT OF EFFORT OVER THE LAST NINE TO MONTHS
11 TO BUILD COMMUNICATION AND COLLABORATION WITH EACH
12 OTHER.

13 SO FIRST AND FOREMOST, THE FACILITY
14 DIRECTORS OF ALL NINE FACILITIES HAVE BUILT DIRECT
15 LINES OF COMMUNICATION WITH EACH OTHER. AND THEN
16 THROUGH A CIRM-FACILITATED STEERING COMMITTEE AND
17 WORKING GROUPS, NOT ONLY THE FACILITY DIRECTORS, BUT
18 MANY OF THEIR TEAM MEMBERS HAVE BEEN SHARING
19 EXPERIENCES AND KNOW-HOW ON ANY MANNER OF TOPICS.
20 THIS INCLUDES TECHNICAL AND SCIENTIFIC TOPICS
21 RELATED TO MANUFACTURING AND FACILITY OPERATIONS.
22 IT INCLUDES DEVELOPING BEST PRACTICES FOR WORKFORCE
23 RECRUITMENT AND TRAINING. THEY BUILT A DIGITAL
24 PLATFORM TO HELP SHARE INFORMATION WITH EACH OTHER.
25 AND THEY'VE STARTED THINKING ABOUT HOW THEY CAN WORK

BETH C. DRAIN, CA CSR NO. 7152

1 AS A NETWORK.

2 AND ON THIS LAST PART, ON THE NEXT STEP
3 FOR THIS PROGRAM, IS TO START FORMING THAT NETWORK
4 AND TO REALIZE THE VALUE OF WORKING TOGETHER. SO
5 THEY'VE IDENTIFIED KEY AREAS. THIS INCLUDES
6 CONTINUING TO SHARE KNOWLEDGE AND BEST PRACTICES AND
7 ESTABLISH BEST PRACTICES IN MANUFACTURING AND
8 QUALITY CONTROL TO SUPPORT THE CELL AND GENE THERAPY
9 PROGRAMS. TO USE THEIR NEGOTIATING POWER AS A
10 COLLECTIVE GROUP TO ESTABLISH RELATIONSHIPS WITH
11 VENDORS AS WELL AS SERVICE PROVIDERS TO IMPROVE
12 RELIABILITY AS WELL AS REDUCE COSTS FOR
13 MANUFACTURING. AND LASTLY, THEY WANT TO BUILD
14 STRATEGIC PARTNERSHIPS WITH INDUSTRY MANUFACTURING
15 PARTNERS TO FACILITATE PROGRESSION OF PROJECTS FROM
16 THEIR FACILITIES ON TO LATER STAGE, LARGER
17 MANUFACTURING PARTNERS.

18 ALL IN ALL, WE HOPE THAT EFFORT FROM THE
19 NETWORK WILL LEAD TO CONTRIBUTING TO THE ADVANCEMENT
20 OF DEVELOPMENT ON DELIVERY OF CELL AND GENE
21 THERAPIES FOR PATIENTS IN NEED. THANK YOU.

22 DR. THOMAS: THANK YOU, SHYAM. I'D LIKE
23 TO POINT OUT, JUST AS THE ALPHA CLINICS NETWORK WAS
24 THE FIRST OF ITS KIND IN THE COUNTRY, SO TOO IS THIS
25 MANUFACTURING NETWORK THAT SHYAM AND HIS TEAM HAVE

BETH C. DRAIN, CA CSR NO. 7152

1 PUT TOGETHER. SO I THINK IT'S SOMETHING WE CAN
2 ADDITIONALLY BE VERY PROUD OF ON TOP OF EVERYTHING
3 ELSE THAT WE ARE DOING. SO THANK YOU, SHYAM AND
4 TEAM.

5 NEXT UP, KOREN.

6 MS. TEMPLE-PERRY: HELLO AND GOOD MORNING,
7 EVERYONE. THANK YOU FOR THE OPPORTUNITY TO PROVIDE
8 THIS UPDATE AS PART OF THE PRESIDENT'S REPORT. MY
9 NAME IS KOREN TEMPLE-PERRY, AND I AM THE SENIOR
10 DIRECTOR OF MARKETING COMMUNICATIONS HERE AT CIRM.

11 ALL RIGHT. SO TO GET STARTED, AS MANY OF
12 YOU KNOW, WE HAVE BEEN UNDERGOING AN EFFORT TO
13 UPDATE OUR BRANDING TO BETTER COMMUNICATE WHO WE
14 ARE. WE RECOGNIZE THE NEED TO COMMUNICATE WHAT WE
15 STAND FOR MUCH MORE VISUALLY IMPACTIVELY.

16 SO AS PART OF THIS INITIATIVE, WE TOOK A
17 CLOSER LOOK AT OUR LOGO. THIS WAS BACK IN DECEMBER.
18 WE MADE A RECOMMENDATION TO UPDATE IT. THE GOAL OF
19 THE UPDATE WAS TO IMPROVE THE READABILITY AND
20 VISIBILITY OF OUR NAME, TO INCREASE THE CLARITY OF
21 CIRM TO MANY COMMUNITIES UNFAMILIAR WITH US, AND TO
22 STRENGTHEN OUR CURRENT BRAND. AND AFTER MANY, MANY
23 MONTHS OF LOOKING AT TYPEFACES AND LOGOS AND ALL
24 SORTS OF ELEMENTS, WE MADE A DECISION TO MOVE
25 FORWARD. AND SO WITHOUT FURTHER ADO, I'D LIKE TO

BETH C. DRAIN, CA CSR NO. 7152

1 INTRODUCE OUR NEW LOGO. HERE WE ARE.

2 AND SO WE ARE VERY EXCITED ABOUT THIS.

3 THIS IS WHERE WE'VE LANDED WITH J.T.'S SUPPORT AND
4 APPROVAL. THE LOGO IS NOT JUST A VISUAL CHANGE. IT
5 REALLY REFLECTS OUR DEDICATION TO CONTINUOUSLY
6 IMPROVING WHO WE ARE AT CIRM. IT IS LEGIBLE. IT'S
7 APPROACHABLE. IT'S CLEAN. AND I'M GOING TO
8 ACTUALLY SHARE THE NEXT SLIDE WHICH HAS FOUR
9 DIFFERENT VERSIONS OF OUR LOGO.

10 IT FEATURES A MODERN TYPEFACE AND, MOST
11 IMPORTANTLY, IT SPELLS OUT OUR NAME, AND IT REALLY
12 MAKES CLEAR WHO WE ARE. SO WE ARE THRILLED TO ROLL
13 OUT THIS NEW LOGO AS PART OF OUR BRAND REFRESH
14 INITIATIVE. AND THIS IS GOING TO ROLL OUT JULY 1ST.
15 AND IT'S ACTUALLY PART OF A BIGGER BRAND REFRESH
16 INITIATIVE. SO ALONGSIDE THE LOGO WE'RE INTRODUCING
17 A FRESH DESIGN, UPDATED BRANDING COLORS TO COMPLY
18 WITH ADA STANDARDS.

19 SO WHAT YOU SEE HERE IS A NEW CIRM
20 BROCHURE AS WELL AS SEVERAL ONE-PAGERS THAT PULL
21 THROUGH A LOT OF THE NEW DESIGN ELEMENTS, THE NEW
22 TYPOGRAPHY, THE NEW UPDATED COLORS, AS WELL AS THE
23 NEW LOGO. WE WILL FEATURE NEW EMAIL SIGNATURES AS
24 WELL AS BRANDED LETTERHEAD AND SOCIAL MEDIA HEADERS
25 TO REALLY ALIGN WITH THIS UPDATED DESIGN.

BETH C. DRAIN, CA CSR NO. 7152

1 AND REALLY THIS IS PART OF A MUCH LARGER
2 VISUAL BRANDING DESIGN SYSTEM THAT WE'RE LOOKING TO
3 INTRODUCE. SO WE ARE VERY EXCITED WITH THIS.

4 IN ADDITION TO THIS, WE HELD A BRANDING
5 WORKSHOP TOO THROUGHOUT THE ORGANIZATION TO
6 INTRODUCE STAFF TO A LOT OF THESE NEW MATERIALS AND
7 HOW TO UTILIZE THEM. IT WAS WELL RECEIVED. IT WAS
8 MET WITH A LOT OF ENTHUSIASM. SO WE ARE VERY
9 EXCITED TO ROLL THIS OUT AND TO KICK OFF IN THE NEW
10 FISCAL YEAR OUR NEW LOOK AND LOGO. SO THANK YOU.

11 MS. DURON: KOREN, THANK YOU VERY MUCH FOR
12 THE WORK I KNOW YOU PUT IN. THANK YOU TO THE
13 COMMUNICATIONS SUBCOMMITTEE FOR THEIR ENGAGEMENT
14 BECAUSE I THINK IT WAS VERY NECESSARY AND THANK YOU
15 FOR DOING THIS.

16 ONE OF THE ONLY THINGS I'M GOING TO TELL
17 YOU AT THIS STAGE, AND I'M ALWAYS PERHAPS THE LONE
18 VOICE ON THIS, I WOULD SAY THAT IF YOU POLLED MOST
19 OF CALIFORNIANS AND YOU ASKED THEM WHAT REGENERATIVE
20 MEDICINE IS, THEY WOULDN'T BE ABLE TO TELL YOU. SO
21 WHILE WE THINK WE CLARIFIED OUR LOGO, I STILL THINK
22 THAT IT DOESN'T INFORM AS MUCH AS WE WOULD LIKE IT
23 TO.

24 SO I THINK WE TALKED SOMEWHAT ABOUT
25 PUTTING LIKE A LITTLE, SMALL EXPLANATORY UNDERNEATH

BETH C. DRAIN, CA CSR NO. 7152

1 THAT NAME. AND I WOULD STILL -- I MEAN EVEN IF YOU
2 PUT STEM CELL, YOU WOULD STILL HAVE AN ARGUMENT,
3 WELL, I'M NOT SURE I KNOW WHAT THAT MEANS. SO IF WE
4 CAN ADD SOME SMALL CLARIFYING INFORMATIONAL
5 UNDERNEATH THAT SO THAT PEOPLE REALLY GET IT,
6 EVERYBODY REALLY GETS IT, I WOULD APPRECIATE THAT.
7 I DON'T KNOW IF EVERYBODY ELSE FEELS THE SAME. I
8 KNOW FOR EVERYBODY IN THIS ROOM, OBVIOUSLY THEY
9 KNOW. OH, SURE. REGENERATIVE MEDICINE, NO BIG
10 DEAL. BUT GO OUTSIDE AND ASK THE PEOPLE OUT THERE
11 AND ASK THEM IF THEY KNOW WHAT IT IS.

12 SO WE'RE MOVING IN THAT PATH, BUT IN THE
13 MEANTIME IF WE COULD ADD SOMETHING, A LITTLE
14 TAGLINE.

15 MS. TEMPLE-PERRY: DEFINITELY. AND WE
16 WILL DEFINITELY EXPLORE THAT. J.T., IF YOU HAVE ANY
17 OTHER.

18 MS. MANDAC: LEONDRA HAS HER HAND RAISED.

19 DR. CLARK-HARVEY: THANK YOU. I JUST
20 WANTED TO SUPPORT THOSE COMMENTS BY YSABEL. I AGREE
21 COMPLETELY. AND SO APPRECIATE ALL THE WORK THAT'S
22 GONE INTO THIS, AND A TAGLINE WOULD BE HELPFUL IF
23 OUR GOAL AND MISSION IS TO REALLY HELP PEOPLE BETTER
24 UNDERSTAND WHO WE ARE AREA WHAT WE DO. THANK YOU.

25 DR. THOMAS: SO THANK YOU, KOREN, AND TO

BETH C. DRAIN, CA CSR NO. 7152

1 YOUR TEAM FOR ALL YOUR HARD WORK. THANK YOU,
2 YSABEL, FOR THE HARD WORK OF THE COMMUNICATIONS
3 SUBCOMMITTEE AND FOR YOUR AND LEONDRA'S COMMENTS.

4 I WILL NOTE THAT WE SPENT A LOT OF TIME
5 TRYING TO FIGURE OUT A SHORT TAGLINE THAT EMBODIES
6 EVERYTHING WE DO. AND I CAN REPORT TO YOU IT'S
7 VIRTUALLY IMPOSSIBLE BECAUSE, NO MATTER -- UNLESS
8 YOU WANT A TAGLINE THAT'S LIKE THREE LINES LONG,
9 IT'S VERY DIFFICULT TO CONVEY THE MESSAGE. AND SO
10 AS A RESULT, WHAT WE SETTLED ON, WHICH DOES HAVE ITS
11 CONS, IS THE NAME AS THE TAGLINE BECAUSE ANYTHING
12 ELSE THAT ADEQUATELY EXPLAINS WOULD TAKE UP AN
13 ENTIRE BUSINESS CARD. BUT WE WILL TAKE YOUR ADVICE
14 TO HEART AND CONTINUE TO TRY TO COME UP WITH
15 SOMETHING THAT ACCOMPLISHES THAT. BUT I DO WANT TO
16 THANK EVERYBODY WHO SPENT A LOT OF TIME ON THIS.

17 OKAY. NEXT. UTA, ARE YOU UP NEXT?

18 DR. GRIESHAMMER: GOOD MORNING. I'M UTA
19 GRIESHAMMER. I'M A MEMBER OF ROSA'S SCIENTIFIC
20 PROGRAMS AND EDUCATION TEAM. AND I'M HERE TO GIVE
21 YOU AN UPDATE ON THE SHARED RESOURCES LABS FOR STEM
22 CELL-BASED MODELING. THIS IS A PROGRAM THAT WAS
23 DEVELOPED BY OUR TEAM IN COLLABORATION WITH
24 BASICALLY EVERY FUNCTION AT CIRM AND ALSO GREAT
25 INPUT FROM THE SCIENCE SUBCOMMITTEE.

BETH C. DRAIN, CA CSR NO. 7152

1 SO FOR A LITTLE CONTEXT, I'M GOING TO --
2 ACTUALLY THIS IS GOING BACK TO SORT OF THE THEME
3 THAT SHYAM WAS TALKING ABOUT AND THAT CIRM IS REALLY
4 COMMITTED TO PROVIDING RESOURCES TO THE CALIFORNIA
5 RESEARCH COMMUNITY TO ENABLE CUTTING-EDGE RESEARCH.
6 SO THIS SHARED RESOURCE LABS PROGRAM IS ROOTED
7 REALLY IN OUR SHARED LABS PROGRAM THAT CIRM FUNDED
8 FROM 2007 TO 2016, WHICH AT THE TIME HAD CREATED
9 SEVERAL CORE RESEARCH LABORATORIES THAT WERE FREE
10 FROM FEDERAL RESTRICTIONS THAT EXISTED AT THE TIME
11 THAT WERE LIMITING HUMAN EMBRYONIC STEM CELL
12 RESEARCH. AND SO CIRM STEPPED IN AND FUNDED THESE
13 CORE LABS THAT REALLY ENABLED A LOT OF CALIFORNIA
14 LABS AND ALSO EDUCATIONAL PROGRAMS TO BECOME
15 FAMILIAR WITH THE THEN NEW HUMAN EMBRYONIC STEM CELL
16 TECHNOLOGY.

17 DURING THAT TIME A LOT HAS HAPPENED IN THE
18 STEM CELL FIELD. THE HUMAN INDUCED PLURIPOTENT STEM
19 CELLS FIRST ARRIVED AND THE FEDERAL LIMITS ON HUMAN
20 EMBRYONIC STEM CELL RESEARCH WERE LIFTED,
21 DIFFERENTIATION PROTOCOLS WERE DEVELOPED FOR THESE
22 HUMAN PLURIPOTENT STEM CELLS, ENABLING ADVANCED
23 DISEASE MODELING FOR IN VITRO DISEASE RESEARCH AND
24 HUMAN BIOLOGY RESEARCH. AND TO -- I'M MISSING
25 SOMETHING HERE. SOMETHING GOT DROPPED. THE WHOLE

BETH C. DRAIN, CA CSR NO. 7152

1 EFFORT WAS ALSO TURBOCHARGED BY THE INVENTION OF THE
2 CRISPR MODELING THAT WAS INVENTED AROUND THE SAME
3 TIME.

4 SO WHEN PROPOSITION 14 CAME AROUND IN 2020
5 AND IT ENVISIONED RELAUNCHING THE SHARED LABS, OUR
6 TEAM, WITH THE SUPPORT OF THE REST OF CIRM,
7 DEVELOPED WHAT WE NOW CALL THE SHARED RESOURCES
8 LABS, WHICH WE'RE LAUNCHING NOW. AND IT TAKES THIS
9 KIND OF RESEARCH INTO THE CURRENT TIME WITH ADVANCED
10 DISEASE MODELING, GENOMICS TECHNOLOGIES, ET CETERA
11 THAT WILL BE SUPPORTED.

12 SO THIS SHARED RESOURCES LABS PROGRAM IS
13 ENVISIONED AS A NETWORK OF CORE RESEARCH
14 LABORATORIES WHOSE GOAL IS TO PROVIDE ACCESS TO STEM
15 CELL-BASED DISEASE MODELS ACROSS CALIFORNIA, TO
16 ADVANCE STANDARDS AND REPRODUCIBILITY OF THIS
17 RESEARCH. ALSO TO PROVIDE ACCESS TO EDUCATIONAL
18 OPPORTUNITIES IN THIS FIELD AND TO DEVELOP THE WHOLE
19 NETWORK AS A SUSTAINABLE STEM CELL CORE
20 INFRASTRUCTURE.

21 THERE ARE TWO TYPES OF SHARED RESOURCES
22 LABS THAT ARE BEING DEVELOPED. ONE IS CALLED THE
23 ENHANCING EXPANSION SHARED RESOURCE LABS. THEY'RE
24 MEANT TO ENABLE LEADING EXPERTS IN STEM CELL-BASED
25 MODELING TO SHARE THEIR EXPERTISE AND TECHNOLOGY

BETH C. DRAIN, CA CSR NO. 7152

1 ACROSS LABS IN CALIFORNIA. AND THE ESTABLISHING
2 SHARED RESOURCE LABS ARE MEANT TO PROVIDE ACCESS TO
3 THESE ADVANCED DISEASE MODELING APPROACHES IN
4 GEOGRAPHIC AREAS OF CALIFORNIA THAT CURRENTLY HAVE
5 LIMITED ACCESS TO SUCH MODELS.

6 THE SHARED RESOURCE LABS REVIEW HAPPENED,
7 AND IN FEBRUARY THE APPLICATION REVIEW SUBCOMMITTEE
8 APPROVED THE FIRST FIVE PROJECTS, WHICH ARE SHOWN
9 HERE IN RED, LISTED THE INSTITUTIONS THAT WILL BE
10 HOSTING THESE FIRST FIVE SHARED RESOURCE LABS. AND
11 AT THE SAME TIME, WHEN THE APPLICATION REVIEW
12 SUBCOMMITTEE APPROVED THOSE FIVE, IT ALSO APPROVED
13 THE RESUBMISSION OF 11 PROPOSALS THAT HAVE BEEN
14 DISTRIBUTED ACROSS THESE TWO TYPES THIS WAY.

15 OUR FABULOUS REVIEW TEAM WAS RIGHT ON TOP
16 OF THIS, AND WE ALREADY RECEIVED RESUBMISSIONS. THE
17 FACILITIES WORKING GROUP AND THE GRANTS WORKING
18 GROUP, AS NECESSARY, ALREADY REVIEWED THESE
19 APPLICATIONS, AND THEY'RE COMING TO THE APPLICATION
20 REVIEW SUBCOMMITTEE IN JULY. WE HAVE ROOM FOR SIX
21 MORE OF SHARED RESOURCE LABS IN THE TOTAL PROGRAM
22 BUDGET.

23 SO I WANT TO END BY SAYING THAT WE'RE
24 DELIGHTED TO HAVE STARTED THIS PROCESS WITH THE FIVE
25 GROUPS, WITH THE FIVE TEAMS THAT ARE LAUNCHED RIGHT

BETH C. DRAIN, CA CSR NO. 7152

1 NOW. IT'S A PLEASURE TO BE WORKING WITH THEM. AND
2 WE LOOK FORWARD TO WELCOMING HOPEFULLY SIX MORE OF
3 THESE LABS AFTER THE JULY ARS MEETING. THANK YOU.

4 MS. MANDAC: ANNE-MARIE HAS HER HAND
5 RAISED.

6 DR. DULIEGE: ACTUALLY I HAVE -- IS IT
7 OKAY FOR A QUESTION OR A COMMENT? FIRST, WELL,
8 CONGRATS. THIS IS SO MUCH FORWARD LOOKING, FORWARD
9 THINKING. MY QUESTION IS ACTUALLY CAN YOU CLARIFY
10 WHAT SHARING HERE MEANS, SHARING RESOURCES? IS IT
11 SHARING EXPERTISE? AND THE CONVENING POWER OF CIRM
12 IS REMARKABLE. IS IT SHARING STORAGE CAPABILITIES?
13 OR IS IT SHARING RESEARCH IDEAS?

14 AND MY SECOND PART OF THE QUESTION IS CAN
15 YOU EXPLAIN TO ALL OF US WHAT KIND OF MODELING
16 YOU'RE DOING? MODELING IS A BUZZ WORD THESE DAYS.
17 IS IT ARTIFICIAL INTELLIGENCE? BUT WHAT EXACTLY DO
18 YOU USE AS MODELING? THANK YOU FOR THE
19 CLARIFICATIONS.

20 DR. GRIESHAMMER: YOU'RE WELCOME. GREAT
21 QUESTIONS.

22 SO WHAT WE MEAN BY SHARING HERE IS
23 ACTUALLY BROAD, AND IT DEPENDS REALLY ON WHAT THE
24 INDIVIDUAL APPLICANTS AND THEN AWARDEES WERE
25 THINKING OF WHAT THEY WANTED TO OFFER. IT GOES FROM

BETH C. DRAIN, CA CSR NO. 7152

1 INVITING RESEARCHERS WHO NEED SPACE TO CONDUCT THESE
2 EXPERIMENTS INTO THEIR CORE LABORATORIES. IT
3 INVOLVES ADVISING THEM HOW TO DO SUCH EXPERIMENTS IF
4 THEY NEED SUCH ADVICE. IT INVOLVES ASSAYING THESE
5 MODELS AND USING THE GENOMIC TECHNOLOGIES, MODERN
6 IMAGING TECHNOLOGIES, MODERN GENOMICS ANALYSES TO
7 GATHER THE DATA ON THESE MODELS. ACTUALLY ALSO
8 INVOLVES DATA SHARING THAT WE'RE GOING TO INSIST ON
9 THAT COMES OUT OF ALL OF THIS.

10 IT COULD ALSO INVOLVE IN SOME CASES
11 SHIPPING A PREPARED MODEL THAT SOMEBODY IS
12 INTERESTED IN TO ANOTHER INSTITUTION WHERE THEY THEN
13 ANALYZE THE MODEL. SO IT'S VERY BROAD, AND IT MIGHT
14 EVOLVE OVER TIME DEPENDING ON HOW THESE WILL BE
15 USED.

16 SO NOW WHAT IS MODELING? IN THIS CASE
17 IT'S VERY SPECIFICALLY TAKING HUMAN STEM CELLS,
18 OFTEN HUMAN PLURIPOTENT STEM CELLS, COULD BE ADULT
19 STEM CELLS, AND TURNING THEM INTO THE CELL TYPES
20 THAT ARE AFFECTED IN A DISEASE IN A CULTURE DISH AND
21 THEN ASKING IF THE CELLS THAT WERE, LET'S SAY,
22 DERIVED FROM A PATIENT WITH PARKINSON'S DISEASE
23 DISPLAY CHARACTERISTICS OF PARKINSON'S DISEASE
24 NEURONS IN THE DISH. AND IF SO, YOU CAN THEN USE
25 THAT KIND OF IN VITRO MODEL TO HELP UNDERSTAND THE

BETH C. DRAIN, CA CSR NO. 7152

1 MECHANISMS OF DISEASE BETTER, TO FIND NEW TARGETS,
2 FOR INSTANCE, POTENTIALLY TO FIND BIOMARKERS. SOME
3 WILL WANT TO GO AHEAD AND, ONCE THEY HAVE A TARGET
4 IDENTIFIED A CHARACTERISTIC OF A CELL LINE -- OF A
5 DISEASE MODEL LIKE THIS TO, FOR INSTANCE, SCREEN FOR
6 SMALL MOLECULE COMPOUNDS THAT COULD REVERT BACK THE
7 CHARACTERISTIC TO THE NORMAL. SO THAT'S THE
8 MODELING WE'RE DOING.

9 DR. DULIEGE: WELL, THANK YOU FOR THIS
10 EXPLANATION. THIS IS JUST REMARKABLE WORK,
11 ABSOLUTELY REMARKABLE, AND IT'S A DIFFICULT ONE. SO
12 THANK YOU.

13 DR. THOMAS: THANK YOU, UTA AND TEAM.
14 IT'S GREAT TO REINVIGORATE ONE OF THE CORE PROGRAMS
15 THAT CIRM HAD BACK IN THE DAY WHEN WE FIRST STARTED.
16 AND THERE'S BEEN A TREMENDOUS AMOUNT OF WORK. THE
17 REVIEW PROCESS AND THE PRESENTATION TO THE BOARD
18 ITSELF WAS VERY COMPLICATED. GIL LED A MEETING THAT
19 LASTED LIKE AN HOUR AND A HALF TRYING TO FIGURE OUT
20 EXACTLY WHAT THE BEST WAY WAS TO PRESENT ALL THIS
21 WHEN IT GOT TO THE ARS, ET CETERA. THIS IS A VERY
22 COMPLICATED PROGRAM, WHICH UTA HAS DISTILLED DOWN
23 HERE TO ITS ESSENCE. AND WE'RE VERY PLEASED TO HAVE
24 THAT BACK AS A MAINSTAY IN THE CIRM PROGRAM.

25 CHAN UP NEXT TO TALK ABOUT REMIND.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. TAN: THANK YOU, J.T., AND THANK YOU
2 TO THE MEMBERS OF THE BOARD. GOOD MORNING. MY NAME
3 IS CHAN LEK TAN. I HAVE THE PLEASURE OF BEING THE
4 SCIENTIFIC LEAD ON THE REMIND PROGRAM. AND I WILL
5 PROVIDE A BRIEF UPDATE ON THE PROGRAM STATUS ON
6 BEHALF OF THE BROADER TEAM TODAY.

7 AS YOU MAY RECALL, THE REMIND PROGRAM WAS
8 APPROVED BY THE BOARD IN SEPTEMBER 2023. AND THIS
9 WAS PRECEDED BY A LONG PERIOD OF DISCUSSIONS, WHICH
10 THEY CALLED IN EXPERTS STRETCHING BACK TO 2019, AND
11 THEN DEVELOPED IN CLOSE COLLABORATION WITH THE CIRM
12 NEUROSCIENCE TASK FORCE THAT WAS CHAIRED BY DR.
13 LARRY GOLDSTEIN UP TO NOW.

14 SO I JUST WANTED TO HIGHLIGHT THREE MAIN
15 POINTS THAT EMERGED FROM THOSE DISCUSSIONS AND A KEY
16 TO THE DESIGN OF THE PROGRAM AND I THINK PERTINENT
17 TO THE STRATEGIC DISCUSSIONS THAT ARE CURRENTLY
18 ONGOING.

19 FIRST, THERE WAS AN APPRECIATION FOR THE
20 LACK OF UNDERSTANDING OF DISEASE MECHANISMS AS A
21 MAJOR OBSTACLE TO THERAPEUTIC DEVELOPMENT. THEY
22 HIGHLIGHTED THE NEED TO INTEGRATE MULTIPLE
23 DISCIPLINES IN ORDER TO TACKLE THE COMPLEXITY OF
24 BRAIN DISEASES. AND LASTLY, THE IMPORTANCE OF
25 PROVIDING DATA, KNOWLEDGE, AND RESOURCE SHARING TO

BETH C. DRAIN, CA CSR NO. 7152

1 ACCELERATE BREAKTHROUGHS.

2 SINCE APPROVAL, THE TEAM HAS EXECUTED ON
3 THE PROGRAM WITH THE RELEASE OF THE RFA OF THE FIRST
4 AWARD AND THEN CULMINATING IN THE GWG REVIEW OF THE
5 FIRST REMIND AWARD LAST WEEK. AND THIS SETS US UP
6 FOR APPROVAL BY THE ARS IN AUGUST AND POTENTIAL
7 LAUNCH OF THE FIRST TRANCHE OF GRANTS IN EARLY 2025.

8 SO AS A REMINDER, THE REMIND PROGRAM
9 CONSISTS OF TWO UNIQUE FUNDING OPPORTUNITIES THAT
10 TOTAL \$110 MILLION WAS APPROVED TO TACKLE COMPLEX
11 NEUROPSYCHIATRIC DISEASES. AND THESE AWARDS ARE
12 MEANT TO COMPLEMENT OUR EXISTING DISCOVERY GRANTS.

13 THE FIRST ONE THAT WAS RELEASED IS
14 REMIND-L OR DISC4, WHICH IS A COLLABORATIVE PROJECT
15 THAT FUNDS LARGE COLLABORATIVE TEAMS OF FIVE OR MORE
16 INVESTIGATORS. THIS HAS A MAXIMUM OF \$10 MILLION
17 PER AWARD FOR A FOUR-YEAR PERIOD. AND WE HAD A
18 TARGET TO FUND SIX AWARDS FOR A TOTAL OF \$88.2
19 MILLION.

20 THE SECOND FUNDING OPPORTUNITY, REMIND-X
21 OR DISC5, IS FOR EXPLORATORY, HIGH IMPACT PROJECTS
22 THAT BRINGS TOGETHER TWO OR MORE INVESTIGATORS WITH
23 THE AIM OF PROVIDING PROOF OF CONCEPT FOR NEW TOOLS,
24 NEW MODELS, AND NEW TECHNOLOGIES. AND THESE GRANTS
25 ARE SMALLER FOR A MILLION DOLLARS OVER TWO YEARS.

BETH C. DRAIN, CA CSR NO. 7152

1 AND OUR EXPECTATION IS TO HAVE THE RFA FOR REMIND-X
2 POSTED LATER THIS YEAR.

3 AND I WILL CONTINUE TO TELL YOU A LITTLE
4 BIT MORE ABOUT OUR FIRST PROGRAM, REMIND-L. SO WE
5 HAD QUITE SIGNIFICANT INTEREST AND EXCITEMENT ABOUT
6 THIS NEW FUNDING MECHANISM FROM CIRM. WE RECEIVED
7 IN TOTAL 26 COLLABORATIVE APPLICATIONS FROM ACROSS
8 CALIFORNIA. YOU CAN SEE THE DISTRIBUTION OF 26
9 PRIMARY APPLICANT ORGANIZATIONS THAT WE RECEIVED.
10 IMPORTANTLY, 16 OF 26 APPLICATIONS WERE
11 MULTI-INSTITUTIONAL IN NATURE. SO ALL IN ALL 158
12 INVESTIGATORS FROM 19 ACADEMIC INSTITUTIONS
13 PARTICIPATED IN THIS CALL.

14 AND FINALLY, IN LINE OF THE REQUIREMENTS
15 OF THE RFA ITSELF AND THE SPIRIT OF THE AWARD, THE
16 TEAMS INCORPORATED MULTIPLE DISCIPLINES AND
17 TECHNOLOGIES IN THEIR PROPOSED RESEARCH. THIS IS AN
18 ILLUSTRATIVE LIST THAT WAS SHARED WITH BOTH THE
19 APPLICANTS AND REVIEWERS, AND IT REALLY SPEAKS TO
20 THE FLEXIBILITY OF THE PROGRAM DESIGN.

21 AND SO TO SUM UP, WITH THE SUCCESSFUL GWG
22 REVIEW OF THE DISC4 APPLICATIONS THAT WE JUST
23 CONCLUDED, WE WILL COME BACK TO THE ARS FOR APPROVAL
24 OF THE RECOMMENDATIONS IN AUGUST AND POTENTIALLY
25 LAUNCHING THE AWARDS IN JANUARY 2025.

BETH C. DRAIN, CA CSR NO. 7152

1 AND I JUST WANT TO SHOUT OUT BECAUSE IT
2 TAKES A TEAM TO EXECUTE ON THIS AGGRESSIVE TIMELINE,
3 PARTICULARLY THANKS TO ROSA FOR SPEARHEADING THIS
4 EFFORT, AND LINDA, LIZ, JOHN, APRIL, DOUG, SARA, AND
5 JANIE FOR ALL THEIR WORK ON THIS AS WELL. THANK
6 YOU.

7 MR. JUELSGAARD: JUST REMIND ME AGAIN OF
8 THE NUMBER OF REMIND-L APPLICATIONS THAT ARE GOING
9 TO BE APPROVED. WHAT WAS THE NUMBER WE SET?

10 DR. TAN: THE TARGET WAS FOR SIX AWARDS.

11 MR. JUELSGAARD: SIX AWARDS. WE HAVE 26
12 APPLICANTS?

13 DR. TAN: WE HAVE 26 APPLICATIONS.

14 MR. JUELSGAARD: GOOD LUCK WITH THAT.
15 WE'LL GET A LOT OF LETTERS, I'M SURE.

16 MS. DURON: CAN I ASK AND I DON'T EVEN
17 KNOW IF WE CAN OR SHOULD DO THIS. BUT I THINK IT'S
18 FABULOUS, THE INTEREST AND OBVIOUSLY THE NEED. BUT
19 I'M KIND OF WONDERING WHAT KINDS OF APPLICATIONS DID
20 WE GET. WHAT DO THEY PROMOTE THEY WILL DO? CAN
21 SOMEONE GIVE ME THAT IN VERY SMALL ENGLISH? DON'T
22 GIVE ME THE COMPLEX STUFF. JUST TELL ME, OH, THIS
23 ONE WANTS TO DO THIS AND THIS ONE WANTS. I WOULD
24 REALLY LOVE TO KNOW WHERE THEY'RE SEEING THIS KIND
25 OF RESEARCH COULD GO.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. TAN: WITHOUT GOING INTO THE
2 APPLICATIONS THEMSELVES BECAUSE THEY ARE STILL UNDER
3 REVIEW, BUT IN GENERAL THE RFA WAS FOR
4 NEUROPSYCHIATRIC DISEASES. SO WE SAW A RANGE OF
5 APPLICATIONS COMING IN TO TACKLE AUTISM,
6 SCHIZOPHRENIA, BIPOLAR, SOME SUBSTANCE ABUSE
7 DISORDERS. SO THERE WAS A BROAD REMIT, AND SOME
8 APPLICATIONS WENT ACROSS THE BOARD AS WELL, CUTTING
9 ACROSS DISEASE INDICATIONS AS WELL.

10 DR. CANET-AVILES: I'M JUST TOO TALL FOR
11 THIS, RIGHT. I THINK THIS IS A PILOT. THIS
12 OBVIOUSLY WAS A PILOT. THE FACT THAT WE GOT 26
13 APPLICATIONS AND THERE WAS VERY HIGH QUALITY
14 APPLICATIONS, ALSO THANKS TO THE TEAM WITH THE
15 CONSULTATIONS, CHAN AND JANIE, THAT PREPARED MANY OF
16 THESE RESEARCHERS TO HAVE VERY COMPETENT
17 APPLICATIONS TO US MEANS THERE IS A LOT OF DEMAND.
18 THAT'S ALSO ALIGNED WITH WHAT WE WILL BE TALKING
19 ABOUT LATER, THE WHOLE NEED FOR FURTHER DISCOVERY OF
20 DISEASE MECHANISMS TO HELP THE TRANSLATION PATHWAY
21 AND HELP THE DEVELOPMENT OF POTENTIAL THERAPEUTICS
22 IN THE CLINIC.

23 SO ALL OF THIS IS JUST TO SAY THAT THERE
24 IS DEMAND, THAT WE HAD A GOOD PROCESS THAT WAS VERY
25 SUCCESSFUL. AND I THINK THAT'S AS MUCH AS WE CAN

BETH C. DRAIN, CA CSR NO. 7152

1 SAY. SO HOPEFULLY THERE WILL BE MORE OPPORTUNITIES
2 NOT ONLY WITHIN -- AND ANOTHER THING IS THAT WE SAW
3 A LOT OF COMPLEMENTARITY. THERE MIGHT HAVE BEEN
4 SCHIZOPHRENIA, FOR EXAMPLE, BUT IN DIFFERENT
5 APPROACHES THAT WERE COMPLEMENTARY. SO THAT'S GOING
6 TO BE PART OF OUR NETWORK.

7 SOMETHING THAT UTA DIDN'T MENTION WAS THE
8 FACT THAT THERE ARE STEERING COMMITTEES MANAGING ALL
9 THESE NETWORKS AS WELL. SO THEY WILL CONNECT AS
10 WELL SO THAT WE CAN LEVERAGE ALL THESE RESOURCES
11 THAT WE ARE MAKING AVAILABLE. I DON'T WANT STEP ON
12 CHAN'S PRESENTATION.

13 MR. JUELSGAARD: JUST ONE QUICK RESPONSE,
14 ROSA. SO WHAT'S GOING TO BE IMPORTANT IS THE REMIT
15 TO THE GWG. QUESTION IS IS WHAT ARE THEY GOING TO
16 LOOK AT? SO YOU CAN JUST LOOK AT PURE SCIENTIFIC
17 MERIT, BUT YOU CAN LOOK AT HETEROGENEITY OF
18 DISEASES. YOU CAN LOOK AT THE IMPORTANCE OF THE
19 UNMET MEDICAL NEED. HOW SIGNIFICANT IS IT? THINGS
20 OF THAT SORT. SO I'M NOT SUGGESTING THAT YOU TELL
21 US WHAT YOU ARE GOING TO DO, BUT I THINK IT'S
22 IMPORTANT, IF YOU'VE GOT THAT MANY APPLICATIONS,
23 THAT THEY'RE SPREAD IN A WAY THAT LOOKS LIKE THEIR
24 FAIR AND EVENHANDED AND WILL ADDRESS SOME IMPORTANT
25 ISSUES.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. CANET-AVILES: YES. AND WE HAVE VERY
2 CLEAR REVIEW CRITERIA. AND MY COLLEAGUE, DR. GIL
3 SAMBRANO, WILL BE EXPLAINING THIS AT THE ARS, THE
4 TEAM AND THE CHANCE LEADING SCIENTIFIC MIND, WE
5 DEVELOPED REALLY GOOD REVIEW CRITERIA THAT WILL HELP
6 JUSTIFY THE DECISIONS AS WELL. SO THANK YOU.

7 DR. THOMAS: THANK YOU, CHAN, ROSA, AND
8 YOUR TEAM. WE WOULD BE REMISS HERE IF WE DIDN'T
9 SINGLE OUT THE EXTRAORDINARY EFFORT OF LARRY
10 GOLDSTEIN, WHO CHAIRED THE NEURO TASK FORCE SINCE
11 INCEPTION AND WAS INTEGRALLY INVOLVED AT ALL STEPS
12 WITH HOW THIS PROGRAM THAT WAS JUST DESCRIBED AND
13 ONES THAT WILL FOLLOW WERE PUT TOGETHER. SO, LARRY,
14 IF YOU ARE LISTENING, HIGH FIVE. THANK YOU NOT JUST
15 FOR THAT, BUT FOR YOUR MANY YEARS OF WONDERFUL
16 SERVICE TO THE BOARD IN SO MANY DIFFERENT
17 CAPACITIES, AND A TRUE PRODUCT OF THE CIRM SYSTEM,
18 STARTING OUT AS A GRANTEE WAY BACK WHEN AND HEAD OF
19 THE UCSD STEM CELL PROGRAM, ON AND ON AND ON. PAT.

20 DR. LEVITT: I JUST WANT TO SAY IF THIS
21 WAS AN EFFORT DEVELOPED AT NIH, IT WOULD HAVE A
22 TAKEN FIVE YEARS TO GET TO WHERE WE ARE NOW OR MORE
23 MAYBE. SOME OF US HAVE SAT ON ADVISORY BOARDS FOR
24 INSTITUTES AND KNOW THAT THAT'S THE TIMELINE. SO
25 IT'S EXTRAORDINARY THAT THE PACE WAS KEPT. THE PACE

BETH C. DRAIN, CA CSR NO. 7152

1 IS WHAT IT IS IN TERMS OF GOING FROM TRYING TO
2 GARNER AS MUCH INFORMATION AS POSSIBLE AND WHAT THE
3 BARRIERS ARE FOR BRAIN DISEASES IN TERMS OF RESEARCH
4 AND GETTING TO THIS POINT.

5 THE OTHER POINT IS THERE WERE 26
6 APPLICATIONS AND SIX MAY BE RECOMMENDED. THAT'S
7 STILL MUCH BETTER THAN THE FEDERAL RATE OF FUNDING.
8 AND SO ALL OF US WHO DO RESEARCH AND APPLY FOR
9 FEDERAL GRANTS UNDERSTAND WHAT THE RISKS ARE IN
10 TERMS OF PUTTING IN A TON OF WORK AND THEN FOR MANY
11 OF US OR PROBABLY ALL OF US WHO HAVE EXPERIENCED THE
12 UNFORTUNATE OUTCOME IN TERMS OF NOT GETTING FUNDED.

13 SO YOU WANT LARGE NUMBERS OF APPLICATIONS
14 WHICH INCREASES THE CHANCES OF GETTING REALLY BEYOND
15 OUTSTANDING WORK THAT'S GOING TO BE DONE. SO I'M
16 REALLY EXCITED ABOUT THE OUTCOME OF THIS. AND LARRY
17 DESERVES A TON OF CREDIT FOR LEADING THE TASK FORCE.

18 (APPLAUSE.)

19 DR. THOMAS: AS I SAY, THE BALANCE OF THE
20 REPORT ON THINGS WE'VE BEEN DOING WILL COME LATER
21 WITH ROSA'S PRESENTATION ON THE SAF. PART AND
22 PARCEL OF THE SAF IS THE WHOLE DEVELOPMENT OF A NEW
23 RARE DISEASE STRATEGY WHICH WILL BE COMING TO THE
24 JOINT NEURO TASK FORCE/SCIENCE SUBCOMMITTEE STARTING
25 LATER IN THE YEAR. AND THAT EFFORT IS BEING ABLY

BETH C. DRAIN, CA CSR NO. 7152

1 RUN BY ABLA, IF I MAY SAY THAT, YES, ABLA AND THE
2 THERAPEUTICS TEAM. SO THANK YOU VERY MUCH TO THEM.

3 SO I'M GOING TO CLOSE HERE. AS WE KNOW, I
4 CAN'T AVOID SPORTS ILLUSIONS. SO FOR THOSE OF YOU
5 WHO FOLLOWED THE LAST MONTH OR SO, IT'S BEEN A VERY
6 TOUGH STRETCH FOR MAJOR SPORTS ICONS PASSING. WE
7 LOST A LEGENDARY COLLEGE AND NBA HALL OF FAMER BILL
8 WALTON. WE LOST ONE OF MY PERSONAL CHILDHOOD
9 HEROES, LEGENDARY BASKETBALL OLYMPIAN, HALL OF FAMER
10 WITH THE LAKERS, JERRY WEST. AND MOST RECENTLY AND
11 MOST RELEVANT TO THE BAY AREA, OF COURSE, WE LOST
12 THE INCOMPARABLE WILLIE MAYS.

13 INTERESTINGLY ENOUGH, I HAVE A PERSONAL
14 CONNECTION AND STORY ABOUT EACH OF THEM WHICH I WANT
15 TO SHARE BECAUSE IT ACTUALLY IS, IN ADDITION,
16 HOPEFULLY, TO BEING INTERESTING, IS RELEVANT TO WHAT
17 WE DO. SO BILL WALTON, ONE OF THE GREATEST COLLEGE
18 BASKETBALL PLAYERS OF ALL TIME AT UCLA, WENT ON TO A
19 HALL OF FAME CAREER IN THE NBA AND LATER INTO SPORTS
20 BROADCASTING. AND IN THE YEAR 2004, HE WAS ONE OF
21 THE ANNOUNCERS FOR THE FINAL FOUR IN MARCH MADNESS
22 IN SAN ANTONIO. I TOO HAPPENED TO GET AN INVITATION
23 TO THAT EVENT AND ENDED UP BEING ON A PLANE WITH
24 BILL WALTON WHO WAS SITTING ABOUT THREE SEATS AHEAD
25 OF ME.

BETH C. DRAIN, CA CSR NO. 7152

1 OF COURSE, I HAD TO SAY HELLO BECAUSE
2 THAT'S THE WAY I KIND OF AM. AND HE SAYS, "WHERE
3 ARE YOU GOING?" AND I SAID SUCH-AND-SUCH HOTEL. HE
4 SAID, "SO AM I. LET'S TAKE A CAB TOGETHER."

5 AND SO THE TWO OF US TOOK A CAB. AND AT
6 THAT POINT HE HAD CHILDREN THAT WERE COLLEGE AGE OR
7 HIGHER, ONE OF WHICH, LUKE WALTON, WENT ON TO WIN
8 TWO WORLD CHAMPIONSHIPS WITH THE LAKERS. AND THE
9 WHOLE RIDE WAS NOT ABOUT HIS CAREER OR ANYTHING
10 HAVING TO DO WITH WHAT I WAS DOING. IT WAS ALL
11 ABOUT KIDS. AND WE TALKED ABOUT AND I ASKED HIM, I
12 SAID, "WE HAVE FOUR YOUNG CHILDREN. HOW HAVE YOU
13 MANAGED TO RAISE THESE KIDS THAT ARE SO SUCCESSFUL
14 IN VARIOUS THINGS THAT THEY DO?" HE SAID, "WELL,"
15 HE SAID, "IT'S JUST A MATTER OF BEING LOVING TO THEM
16 AND SUPPORTING WHATEVER THEY'RE INTERESTED IN." AND
17 DRAWING ON THE LESSONS IMPARTED TO ME, BILL WALTON,
18 FROM JOHN WOODEN, WHOSE NAME YOU WILL RECOGNIZE,
19 LEGENDARY UCLA BASKETBALL COACH WHO WON EIGHT NCAA
20 TITLES IN A ROW, AND WALTON'S TEAM THAT WON 88 GAMES
21 IN A ROW, NEITHER OF WHICH WILL EVER BE ECLIPSED.
22 AND HE SAID THAT THE WHOLE THING WAS ABOUT TEAMWORK.
23 IT'S NOT ABOUT I. IT'S ABOUT WE. IT'S ALL ABOUT
24 TEAMWORK.

25 SO WE HAD THIS GREAT CONVERSATION. HE WAS

BETH C. DRAIN, CA CSR NO. 7152

1 VERY ENGAGING AS WAS HIS REPUTATION. WE GOT TO THE
2 HOTEL AND PARTED OUR SEPARATE WAYS, BUT I THOUGHT IT
3 WAS A GREAT STORY.

4 JERRY WEST, SO WHEN I WAS -- IN THE EARLY
5 '90S I CHAIRED A BOARD OF A NON-PROFIT IN LOS
6 ANGELES THAT PROVIDED SERVICES TO DISADVANTAGED
7 CHILDREN. AND WE HAD A FUND-RAISING BREAKFAST. AND
8 TO RAISE MONEY, WE HAD JERRY WEST AS OUR GUEST AS
9 SORT OF THE DRAW TO THIS BREAKFAST. SO I GOT TO SIT
10 NEXT TO HIM AS THE CHAIR, WHICH AS A LAKER FAN, OF
11 COURSE, IS LIKE UNBELIEVABLE.

12 AMONG OTHER THINGS, THOSE OF YOU WHO
13 FOLLOW THE NBA, BACK IN THOSE DAYS THE LAKERS HAD
14 THAT WONDERFUL TEAM, AND PAT RILEY WAS THEIR COACH.
15 REMEMBER THAT NAME. AT THE TIME OF THIS BREAKFAST,
16 I SAID TO JERRY, I SAID, "YOU KNOW, THERE'S A LOT OF
17 RUMORS ABOUT RILEY GOING DO THE KNICKS. SO THAT
18 WOULD BE AWFUL. WHAT'S THE STORY?" AND HE SAYS,
19 "YOU CAN TAKE IT FROM ME. HE'S NOT GOING ANYWHERE."
20 I SAID, HMM, WELL, THAT'S GREAT INSIDE SCOOP. SO I,
21 OF COURSE, LEFT AND I GO BACK, AND THIS IS BEFORE
22 TEXTING, AND I EMAIL EVERYBODY I KNOW. JUST HAD
23 BREAKFAST WITH JERRY WEST. HE SAYS RILEY IS NOT
24 GOING ANYWHERE.

25 GET UP THE NEXT MORNING, HUGE HEADLINES IN

BETH C. DRAIN, CA CSR NO. 7152

1 THE *L.A. TIMES* ABOVE THE FOLD, HUGE FONT, "RILEY TO
2 KNICKS." AND I, OF COURSE, IMMEDIATELY GOT EMAILS
3 FROM EVERYBODY AND GOT ABUSED ABOUT THIS FOR YEARS.
4 ONE OF THE THINGS THAT JERRY WEST WAS MOST KNOWN FOR
5 WAS HE WAS FROM RURAL WEST VIRGINIA. AND HIS GOAL
6 IN LIFE WAS TO GO PLAY FOR THE UNIVERSITY OF WEST
7 VIRGINIA. BECAUSE HE SAID TO HIM NOTHING WAS MORE
8 IMPORTANT THAN REPRESENTING THE STATE AT THE STATE
9 UNIVERSITY AND PLAY THERE. AND, OF COURSE, HE WENT
10 ON TO AN UNSURPASSED CAREER AND WAS AN ANCHOR FOR
11 THE 1960 U.S. OLYMPIC GOLD MEDAL TEAM AND LAKER
12 CAREER IS WELL DOCUMENTED.

13 WILLIE MAYS. SO WHEN HE PASSED, A PIECE
14 OF THE NATION'S SOLE REALLY PASSED WITH HIM. HE WAS
15 OBVIOUSLY UNSURPASSED IN PERFORMANCE. HE WAS A VERY
16 IMPORTANT FIGURE IN CIVIL RIGHTS. HE WAS ONE OF THE
17 FEW PLAYERS THAT WAS BELOVED BY FRIENDS AND FOES
18 ALONE -- INCLUDED, RATHER. AND SO IT WAS WITH A
19 GREAT DEAL OF SADNESS THAT THAT ANNOUNCEMENT WAS
20 MADE. AND SO THE TRIBUTES POURED IN.

21 AND I, AS A FAN, READ LOTS OF TRIBUTES
22 DESCRIBING ALL HIS GREAT FEATS AND HIS ROLE IN
23 VARIOUS WALKS OF LIFE AND WHAT A WONDERFUL PERSON HE
24 WAS. AND ONE OF THE THINGS I READ WAS A LITTLE
25 LETTER WRITTEN BY A YOUNG BOY THAT TALKED ABOUT HOW

BETH C. DRAIN, CA CSR NO. 7152

1 MUCH HE LOOKED UP TO HIM AND WHAT A GREAT PLAYER HE
2 WAS AND HOW MUCH IT MEANT TO HIM TO GET TO SEE HIM
3 PLAY.

4 SO THERE ARE THESE TRIBUTES FROM ALL
5 WALKS. AND YOU SAY, WELL, WAIT A MINUTE. I DIDN'T
6 HEAR ANY CONNECTION TO ME. WHAT'S THE STORY? WELL,
7 THE STORY WAS THE LETTER FROM THE YOUNG BOY WAS FROM
8 ME WHEN I WAS 12. AND EVEN THOUGH I WAS A DODGER
9 FAN, AS I SAY, MAYS' APPEAL REACHED EVERYBODY. AND
10 MY MOTHER DIDN'T KNOW WHERE TO SEND THE LETTER. SO
11 SHE NEVER SENT IT. AND MY WIFE ABOUT A MONTH AGO, I
12 DON'T KNOW HOW SHE CAME ACROSS THIS LETTER, WHICH
13 WAS A PRETTY COOL THING TO BE ABLE TO SEE.

14 AND SO I WANT TO SAY, GIVEN THAT THIS IS
15 MY FINAL MEETING AS INTERIM PRESIDENT AND CEO, FIRST
16 OF ALL, WANT TO ACKNOWLEDGE WHAT A PRIVILEGE IT'S
17 BEEN, AS WAS THE CASE 15 MONTHS AGO WHEN I ADDRESSED
18 THE BOARD, TO WORK WITH THE BOARD, UNPARALLELED
19 GROUP OF TALENT AND DEDICATION. AND TO MY
20 COLLEAGUES, HAVING HAD THE CHANCE NOW TO WORK WITH
21 YOU OVER THE PAST SIX MONTHS WAS ONE OF THE GREAT
22 JOYS OF MY PROFESSIONAL CAREER. AND I THINK WE'VE
23 DONE SOME PRETTY GREAT STUFF THAT'S GOING TO KEEP US
24 IN WONDERFUL STEAD GOING FORWARD IN THE YEARS AHEAD.

25 AND I WOULD LIKE TO ADMONISH THE WHOLE

BETH C. DRAIN, CA CSR NO. 7152

1 CIRM FAMILY TO, GOING FORWARD, CONTINUE TO EMBRACE
2 AND EMBODY THE TEAM WORK ETHIC OF BILL WALTON AND
3 JOHN WOODEN, TO APPRECIATE THE PRIVILEGE IT IS TO
4 SERVE THE STATE THAT JERRY WEST SO ELOQUENTLY
5 EXPRESSED WHEN HE WAS A YOUNG BOY HEADING UP TO HIS
6 CAREER, AND TO CONTINUE TO ASPIRE TO THE
7 TRANSCENDENT EXCELLENCE OF WILLIE MAYS. AND IF WE
8 ALL DO THAT GOING FORWARD, WE WILL CONTINUE TO
9 PRODUCES A-PLUS WORK PRODUCT AND GLORIOUSLY MEET THE
10 MISSION THAT WAS PUT ON US BY PROPOSITION 71 AND 14.
11 SO THANK YOU VERY MUCH, EVERYBODY. THAT CONCLUDES
12 THE PRESIDENT'S REPORT.

13 (APPLAUSE.)

14 CHAIRMAN IMBASCIANI: THANK YOU, J.T.
15 THAT WAS WONDERFUL. I SUGGEST YOU PRINT IT UP AND
16 SUBMIT IT TO THE *L.A. TIMES*. IT WOULD MAKE A
17 WONDERFUL STORY.

18 I'D LIKE TO DIRECT THE BOARD'S ATTENTION
19 TO THE NEXT FOUR ITEMS, ITEMS 5, 6, 7, AND 8 WHICH
20 CONSTITUTE OUR CONSENT AGENDA. SO THE WAY
21 CONSENT -- THE ITEMS ARE MINUTES FROM THE MARCH 28TH
22 MEETING, SEVEN NEW APPOINTMENTS AND 13
23 REAPPOINTMENTS TO THE SCIENTIFIC MEMBERS OF THE
24 GRANTS WORKING GROUP, AND THEN A SINGLE APPOINTMENT
25 OF A VERY DISTINGUISHED PERSON TO THE ACCESS AND

BETH C. DRAIN, CA CSR NO. 7152

1 AFFORDABILITY WORK GROUP, AND A REQUEST THAT WE SEE
2 AT EVERY MEETING TO ATTEND REMOTELY BY TWO BOARD
3 MEMBERS.

4 SO DOES ANYONE WANT TO ABSTRACT ANYTHING
5 FROM THIS CONSENT AGENDA? SEEING NO ABSTRACTING, I
6 WOULD ASK SCOTT TO TAKE THE ROLE TO APPROVE THE
7 CONSENT AGENDA.

8 MR. TOCHER: JUST NEED A MOTION.

9 DR. BLUMENTHAL: SO MOVE.

10 DR. BARRETT: SECOND.

11 MR. TOCHER: THANK YOU. I HEARD MAKER WAS
12 GEORGE. WHO WAS THE SECOND? KIM. THANK YOU.

13 ANY PUBLIC COMMENT?

14 MS. MANDAC: THERE ARE NO HANDS RAISED.

15 MR. TOCHER: ALL THOSE IN THE ROOM IN
16 FAVOR SAY AYE. THOSE OPPOSED. ANY ABSTENTIONS?
17 AND I MUST CALL A ROLL CALL FOR THOSE ON THE PHONE.

18 MOHAMED ABOUSALEM.

19 DR. ABOUSALEM: YES.

20 MR. TOCHER: JUDY CHOU.

21 DR. CHOU: YES.

22 MR. TOCHER: DEBORAH DEAS.

23 DR. DEAS: YES.

24 MR. TOCHER: LEONDRA CLARK-HARVEY.

25 DR. CLARK-HARVEY: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. TOCHER: SHLOMO MELMED.
2 DR. MELMED: YES.
3 MR. TOCHER: MARK FISCHER-COLBRIE.
4 MR. FISCHER-COLBRIE: YES.
5 MR. TOCHER: FRED FISHER.
6 DR. FISHER: YES.
7 MR. TOCHER: RICH LAJARA.
8 MR. LAJARA: YES.
9 MR. TOCHER: LINDA MALKAS.
10 DR. MALKAS: YES.
11 MR. TOCHER: CHRIS MIASKOWSKI.
12 DR. MIASKOWSKI: YES.
13 MR. TOCHER: ADRIANA PADILLA.
14 DR. PADILLA: YES.
15 MR. TOCHER: JOE PANETTA.
16 MR. PANETTA: YES.
17 MR. TOCHER: MARV SOUTHARD.
18 DR. SOUTHARD: YES.
19 MR. TOCHER: MICHAEL STAMOS.
20 DR. STAMOS: YES.
21 MR. TOCHER: KEVIN XU.
22 DR. XU: YES.
23 MR. TOCHER. GREAT. THANKS VERY MUCH.
24 MOTION CARRIES.
25 CHAIRMAN IMBASCIANI: THANK YOU. OUR NEXT

BETH C. DRAIN, CA CSR NO. 7152

1 ITEM OF BUSINESS IS WE'RE GOING TO ENTER INTO CLOSED
2 SESSION. AND SINCE I THINK CERTAIN PEOPLE NEED TO
3 LEAVE THE ROOM, THIS WOULD BE A PERFECT TIME TO HAVE
4 A COINCIDENT BIO BREAK, SHORT RECESS.

5 MR. TOCHER: I'LL READ US INTO THE CLOSED
6 SESSION CITATION. AND FOR THOSE MEMBERS WHO ARE ON
7 THE PHONE, YOU WILL SEE A TAB TO CLICK THAT WILL
8 INVITE YOU INTO THE BREAKROOM. SO FEEL FREE TO
9 SELECT THAT. AND WE WILL BE PROCEEDING TO CLOSED
10 SESSION FOR A DISCUSSION OF PERSONNEL PURSUANT TO
11 GOVERNMENT CODE SECTION 11126(A) AND HEALTH AND
12 SAFETY CODE SECTION 125290.30(F)(3)(D).

13 (THE BOARD THEN WENT INTO CLOSED
14 SESSION, NOT REPORTED NOR HEREIN TRANSCRIBED. AT
15 THE CONCLUSION OF THE CLOSED SESSION, THE FOLLOWING
16 WAS HEARD IN OPEN SESSION.)

17 CHAIRMAN IMBASCIANI: THANK YOU. WE'RE
18 COMING BACK FROM OUR BREAK AFTER CLOSED SESSION. WE
19 ARE NOW IN OPEN SESSION, CORRECT, SCOTT? WE ARE.

20 SO I WOULD LIKE TO DIRECT THE BOARD'S
21 ATTENTION TO AGENDA ITEM NO. 9, WHICH IS A
22 CONSIDERATION OF APPOINTMENT OF TWO MEMBERS OF THE
23 BOARD. WE ESTABLISHED THE TASK FORCE ON
24 NEUROSCIENCE -- TASK FORCE ON NEUROSCIENCE AND
25 MEDICINE, TO BE PRECISE, IN JANUARY OF 2023, AND IT

BETH C. DRAIN, CA CSR NO. 7152

1 WAS CHAIRED BY DR. LARRY GOLDSTEIN UNTIL HIS
2 RETIREMENT LAST MONTH FROM THE BOARD.

3 SO BY OUR BYLAWS, I AM GOING TO ASK THE
4 BOARD TO APPOINT TWO MEMBERS TO CHAIR THIS
5 COMMITTEE. THE MEMBERS I'M GOING TO SUGGEST TO YOU
6 ARE CO-CHAIRS DR. PAT LEVITT AND DR. CAROLYN
7 MELTZER. I'VE ALREADY GIVEN EARLIER IN THIS MEETING
8 DR. MELTZER SOME OF HER PROFESSIONAL ACHIEVEMENTS.
9 I JUST WANT TO REMIND, MAYBE FOR NEW BOARD MEMBER'S
10 SAKE, THAT DR. LEVITT, WHO IS TO MY RIGHT, IS AN
11 ESTEEMED NEUROSCIENTIST. HE HOLDS THE SIMMS MANN
12 CHAIR OF DEVELOPMENTAL NEUROGENICS AT CHILDREN'S
13 HOSPITAL LOS ANGELES AND IS A CHAIRED PROFESSOR IN
14 NEUROGENICS AT THE KECK SCHOOL OF MEDICINE AT USC.

15 SO I AM ASKING THE BOARD TO ALLOW ME TO
16 APPOINT BOTH OF THESE DISTINGUISHED PHYSICIAN AND
17 RESEARCHERS AS CO-CHAIRS FOR THE TASK FORCE.

18 DR. GASSON: SO MOVED.

19 MR. TOCHER: POINT OF ORDER. THE MOTION
20 IS TO APPOINT THOSE TWO INDIVIDUALS.

21 CHAIRMAN IMBASCIANI: THE BOARD WILL
22 APPOINT. EXACTLY RIGHT. THANK YOU. I HEARD A
23 MOTION.

24 VICE CHAIR BONNEVILLE: SECOND.

25 CHAIRMAN IMBASCIANI: THANK YOU.

BETH C. DRAIN, CA CSR NO. 7152

1 DISCUSSION FROM BOARD MEMBERS? NO HANDS RAISED.
2 ANY MEMBER OF THE PUBLIC WANT TO COMMENT
3 BEFORE WE VOTE? SCOTT, YOU MAY PROCEED TO A VOTE.
4 MR. TOCHER: I'LL VOICE VOTE IN THE ROOM
5 AND I MUST POLL THE MEMBERS INDIVIDUALLY ON THE
6 PHONE. ALL THOSE IN THE ROOM IN FAVOR SAY AYE.
7 THOSE OPPOSED SAY NO. ANY ABSTENTIONS? POLLING
8 THOSE ON THE PHONE:
9 MOHAMED ABOUSALEM.
10 DR. ABOUSALEM: YES.
11 MR. TOCHER: JUDY CHOU.
12 DR. CHOU: YES.
13 MR. TOCHER: DEBORAH DEAS.
14 DR. DEAS: YES.
15 MR. TOCHER: LEONDRA CLARK-HARVEY.
16 DR. CLARK-HARVEY: YES.
17 MR. TOCHER: SHLOMO MELMED.
18 DR. MELMED: YES.
19 MR. TOCHER: MARK FISCHER-COLBRIE.
20 MR. FISCHER-COLBRIE: YES.
21 MR. TOCHER: FRED FISHER.
22 DR. FISHER: YES.
23 MR. TOCHER: RICH LAJARA.
24 MR. LAJARA: YES.
25 MR. TOCHER: LINDA MALKAS.

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

DR. MALKAS: YES.

MR. TOCHER: CHRIS MIASKOWSKI.

DR. MIASKOWSKI: YES.

MR. TOCHER: ADRIANA PADILLA.

DR. PADILLA: YES.

MR. TOCHER: JOE PANETTA.

MR. PANETTA: YES.

MR. TOCHER: MARV SOUTHARD.

DR. SOUTHARD: YES.

MR. TOCHER: MICHAEL STAMOS.

DR. STAMOS: YES.

MR. TOCHER: KEVIN XU.

DR. XU: YES.

MR. TOCHER: CONGRATULATIONS.

(APPLAUSE.)

CHAIRMAN IMBASCIANI: I'D LIKE TO INVITE
JENNIFER LEWIS TO THE PODIUM PLEASE TO DISCUSS
AGENDA NO. 11, WHICH IS THE CONSIDERATION OF INTERIM
RESEARCH BUDGET FOR THE UPCOMING FISCAL YEAR.

MS. LEWIS: THANK YOU, CHAIR IMBASCIANI.
CAN YOU HEAR ME? THANK YOU, CHAIR IMBASCIANI AND
MEMBERS OF THE BOARD AND PUBLIC. IT'S MY PLEASURE
TODAY ON BEHALF OF THE CIRM TEAM TO PRESENT THE
FISCAL YEAR 24/25 INTERIM RESEARCH BUDGET.

AND JUST REALLY QUICKLY BEFORE I BEGIN,

BETH C. DRAIN, CA CSR NO. 7152

1 REMIND EVERYONE OF OUR MISSION TO ACCELERATE
2 WORLD-CLASS SCIENCE TO DELIVER TRANSFORMATIVE
3 REGENERATIVE MEDICINE TREATMENTS IN AN EQUITABLE
4 MANNER TO A DIVERSE CALIFORNIA AND WORLD.

5 AND BEFORE I DIVE INTO THE AGENDA, I
6 WANTED TO PROVIDE TWO OVERVIEW SLIDES FOR THE BOARD.
7 THIS SLIDE IS DEPICTING THE 8.5 BILLION THAT IS
8 ALLOCATED TO CIRM FROM PROP 71 AND PROP 14. 7.64
9 BILLION OF THAT IS FOR GRANT FUNDS. AS OF APRIL
10 30TH OF 2024, WE'RE NEARLY HALFWAY IN ENCUMBRANCES,
11 MEANING PAYING OUT THOSE FUNDS OR COMMITMENTS AND
12 APPROVALS BY THIS BOARD WITH 3.86 BILLION, 51
13 PERCENT OF THAT MONEY, BEING UNENCUMBERED. MY
14 COLLEAGUE DR. CANET-AVILES, WILL BE SHARING MORE AS
15 WE TALK MORE ABOUT THE STRATEGIC ALLOCATION
16 FRAMEWORK AND HOW THOSE FUNDS WILL BE DEPLOYED LATER
17 TODAY.

18 THIS SLIDE IS PARTICULARLY SHOWING THE
19 PROP 14 RESEARCH BUDGET ALLOCATION. IN THIS IT'S
20 SHOWING -- AS MANY OF YOU MAY KNOW, PROPOSITION 14
21 HAS SPECIFIC ALLOCATIONS FOR VARIOUS TYPES OF
22 FUNDING STREAMS. THIS FIRST BAR IS SHOWING FOR
23 RESEARCH, THERAPY DEVELOPMENT, AND THERAPY DELIVERY.
24 3.4 BILLION IS ALLOCATED WITH TO DATE WE HAVE 764
25 MILLION COMMITTED UNDER THAT ALLOCATION. JUST FOR

BETH C. DRAIN, CA CSR NO. 7152

1 CONTEXT, THIS ALLOCATION ALSO ALLOWS FOR THINGS LIKE
2 EDUCATION PROGRAMS AND ALPHA CLINICS. THAT'S
3 SPECIFICALLY STIPULATED IN THE PROPOSITION. AND THE
4 ARROWS ARE JUST DEMONSTRATING THAT THERE ARE
5 SPECIFIC ALLOCATIONS OF UP TO AMOUNTS THAT ALLOW FOR
6 BUILDING, EQUIPPING SHARED RESOURCES LABS UP TO 26
7 MILLION AS YOU HEARD DR. GRIESHAMMER'S PRESENTATION
8 EARLIER TODAY. AND THEN ALSO AN UP TO OF 78 MILLION
9 FOR BUILDING, EQUIPPING, AND OPERATING COMMUNITY
10 CARE CENTERS OF EXCELLENCE.

11 THE SECOND BAR IS FOR DISEASES OF THE
12 BRAIN AND CENTRAL NERVOUS SYSTEM OF ONE 1.38
13 BILLION. AND TO DATE CIRM HAS EXPENDED 243 MILLION.
14 LASTLY, 96 MILLION FOR ACCESS AND AFFORDABILITY.
15 AND THIS BOARD HAS COMMITTED 2.4 MILLION OF THAT
16 THIS YEAR WITH THE PATIENT SUPPORT PROGRAM.

17 SO THE AGENDA THAT I'LL BE GOING -- THE
18 TOPICS TODAY WILL BE A REVIEW OF THE FISCAL YEAR
19 23/24 APPROVED RESEARCH BUDGET AND ACTUALS. AND THE
20 THEN I WILL PRESENT TO YOU THE 24/25 PROPOSED
21 INTERIM RESEARCH BUDGET, THE DRIVERS OF THAT BUDGET,
22 AND WHAT WENT INTO DEVELOPING THAT BUDGET FOR YOUR
23 REVIEW AND APPROVAL TODAY.

24 SO HERE IS A -- I'LL WALK THROUGH THESE
25 COLUMNS OF WHAT SHOWS. IN THE FIRST COLUMN IS THE

BETH C. DRAIN, CA CSR NO. 7152

1 FISCAL YEAR 23/24 APPROVED BUDGET. SO LAST YEAR THE
2 BOARD APPROVED 252 MILLION FOR CLINICAL PROGRAMS,
3 84.6 MILLION FOR TRANSLATIONAL PROGRAMS, 110.7
4 MILLION FOR DISCOVERY, 9 MILLION FOR EDUCATION
5 PROGRAMS, AND 62.5 MILLION FOR OUR INFRASTRUCTURE
6 PROGRAMS, FOR A TOTAL OF 519 MILLION.

7 THE SECOND COLUMN IS DEMONSTRATING THE
8 COMMITMENTS AS OF TODAY, WHICH TOTAL 350.7 MILLION.
9 THE THIRD COLUMN IS SHOWING THE PENDING COMMITMENTS,
10 AND IT SEEMS ODD, I KNOW, THAT WE'RE ALREADY AT JUNE
11 27TH, BUT TODAY THERE ARE TWO PENDING COMMITMENTS
12 THAT'S STILL FOR THIS FISCAL YEAR OF 26.4 MILLION OF
13 CLINICAL AWARDS THAT MY COLLEAGUE DR. SAMBRANO WILL
14 BE PRESENTING LATER TODAY DURING THE APPLICATION
15 REVIEW SUBCOMMITTEE, AS WELL AS 342,000 OF POTENTIAL
16 CONFERENCE GRANTS THAT ARE IN THE PROCESS RIGHT NOW
17 AND TO BE APPROVED BEFORE THE END OF THE MONTH. SO
18 THAT TOTAL PENDING IS 26.7 MILLION.

19 THE FOURTH COLUMN IS DEMONSTRATING THE
20 ESTIMATED TO FINISH FOR THE YEAR. AND AS YOU CAN
21 SEE, THAT TOTAL IS 377.5 MILLION IS WHAT WE'RE
22 EXPECTED TO FINISH AT THE END OF THE FISCAL YEAR.

23 AND THE FIFTH COLUMN IS SHOWING THE
24 VARIANCE. SO THE VARIANCE IS WE TAKE THE FISCAL
25 YEAR 23/24 APPROVED BUDGET AND COMPARE IT TO THE

BETH C. DRAIN, CA CSR NO. 7152

1 ESTIMATED TO FINISH IN THE FOURTH COLUMN. WHAT
2 YOU'LL SEE HERE IS 141.5 MILLION. AND I'M GOING TO
3 WALK LINE BY LINE FOR THIS BECAUSE THERE'S SEVERAL
4 REASONS AND DRIVERS OF THAT 141.5 MILLION THAT I
5 THINK WILL BE INFORMATIVE FOR THE BOARD.

6 SO FOR THE CLINICAL PROGRAM, WE ARE ENDING
7 THE YEAR AT A BALANCE OF \$14.7 MILLION. FOR
8 TRANSLATION WE HAVE A BALANCE OF 1 MILLION. FOR
9 DISCOVERY, THERE IS A BALANCE OF 93.9 MILLION. AND
10 THAT IS DUE TO TWO REASONS RELATED TO OUR DISCOVERY
11 PROGRAM.

12 THE DISC2 QUEST AWARDS AND THE REMIND-L
13 PROGRAM THAT WAS PRESENTED EARLIER TODAY WERE SLATED
14 TO BE APPROVED BY THE BOARD DURING THIS FISCAL YEAR.
15 HOWEVER, DUE TO OUR OPERATIONAL REVIEW SCHEDULE OR
16 EXTENDING APPLICATION DEADLINES FOR GRANTEES, THESE
17 ARE ALL IN PROCESS, BUT THE ACTUAL APPROVAL WILL NOT
18 OCCUR UNTIL FISCAL YEAR 24/25. THEREFORE, WE WILL
19 BE HAVING A BALANCE AT THE END OF THIS YEAR, BUT YOU
20 WILL SEE IN UPCOMING SLIDES THAT WE'LL BE ASKING FOR
21 THIS FUNDING IN 24/25.

22 FOR THE EDUCATION PROGRAM, WE HAVE A
23 REMAINING BALANCE OF UNDER A MILLION. AND THEN FOR
24 INFRASTRUCTURE, THERE IS A BALANCE OF 30.9 MILLION
25 AT THE END OF THE FISCAL YEAR. THIS IS ALSO DUE TO

BETH C. DRAIN, CA CSR NO. 7152

1 POSTPONING A POTENTIAL REVIEW. SO EARLIER THIS YEAR
2 WE CAME TO THE BOARD FOR APPROVAL OF A SHARED
3 RESOURCE LABS PROGRAM. AND THERE WERE SEVERAL TIER
4 II APPLICATIONS THAT WERE RECOMMENDED TO GO BACK TO
5 THE GWG AND THE FWG FOR REVIEW, AND THOSE ARE IN
6 PROCESS AS DISCUSSED EARLIER TODAY. AND THE ACTUAL
7 APPROVAL WILL HAPPEN IN JULY. SO WE WILL BE ASKING
8 FOR THOSE FUNDS, AS YOU WILL SEE, IN THE 24/25
9 BUDGET.

10 SO THE TOTAL VARIANCE IS 141.5 MILLION,
11 BUT 86 PERCENT OF THAT VARIANCE IS REALLY BEING
12 REALLOCATED IN THE UPCOMING FISCAL YEAR BUDGET,
13 WHICH YOU WILL SEE IN FUTURE SLIDES.

14 AND WITH THAT KNOWLEDGE, I WANTED TO ADD A
15 NEW SLIDE THAT WAS RECOMMENDED BY THE SCIENCE
16 SUBCOMMITTEE TO DEMONSTRATE THE HISTORICAL RESEARCH
17 BUDGET PERFORMANCE UNDER PROP 14. WE HAVE FOUR
18 YEARS UNDER OUR BELT SO FAR. AND SO WHAT THIS SLIDE
19 IS SHOWING IS EACH YEAR OF THE PROPOSITION THE TIME
20 PERIOD THAT THAT DURATION WAS AND THEN THE TOTAL
21 BUDGET THAT WAS REQUESTED. SO FOR THE FIRST YEAR
22 FROM JANUARY TO JUNE 2021, THERE WAS A BUDGET OF 352
23 MILLION AND A COMMITMENT OF 109 MILLION. FOR YEAR
24 TWO, 474 MILLION WITH A COMMITMENT OF 330 MILLION
25 APPROVED BY THIS BOARD. FOR YEAR THREE, 426 MILLION

BETH C. DRAIN, CA CSR NO. 7152

1 WITH A COMMITMENT OF 299 MILLION. AND THEN AS JUST
2 EXPLAINED, THE END YEAR COMMITMENTS OF 377 MILLION
3 FROM THIS PAST YEAR FOUR.

4 AND SO NOW I'LL SHARE WITH YOU OUR
5 PROPOSED FISCAL YEAR 24/25 RESEARCH BUDGET. AS
6 MENTIONED, THIS IS AN INTERIM SIX-MONTH BUDGET. AND
7 THIS BUDGET SUPPORTS THE APPLICATION REVIEW
8 SUBCOMMITTEE APPROVALS THAT WE ANTICIPATE FROM JULY
9 THROUGH DECEMBER OF THIS YEAR, THE FIRST HALF OF THE
10 YEAR. AND THE REASON THAT WE WANTED TO DO THAT IS
11 TO ENSURE THAT, AS THE BOARD IS REVIEWING STRATEGIC
12 ALLOCATION FRAMEWORK ACTIVITIES, THAT AFTER
13 SEPTEMBER WE COULD COME BACK TO THE BOARD WITH ANY
14 ADDITIONAL FUNDING THAT WOULD BE NEEDED FOR THE
15 REMAINDER OF THE YEAR.

16 THIS BUDGET SUPPORTS REOPENING OF THE
17 MONTHLY CLIN SUBMISSIONS BASED ON THE FLOW CONTROL
18 PROPOSAL THAT DR. GIL SAMBRANO WILL PRESENT LATER
19 THIS AFTERNOON. IT ALSO SUPPORTS APPROVALS ACROSS
20 FIVE PROGRAMS, ACROSS FIVE PILLARS. SO THERE'S
21 SEVERAL ACTIVITIES THAT ARE ONGOING AND IN PLACE.
22 AND IT ALSO SUPPORTS A REMOVAL OF THE CONFERENCE
23 GRANT BUDGET AS THE CIRM TEAM CONSIDERS A REVISION
24 TO THAT REQUEST FOR APPLICATION ANNOUNCEMENT IN
25 ALIGNMENT WITH THE STRATEGIC ALLOCATION FRAMEWORK

BETH C. DRAIN, CA CSR NO. 7152

1 AND ALSO ANY TENETS OF DEI. AND THAT'S SOMETHING
2 THAT THE TEAM HAS BEEN WANTING TO DO FOR SOME TIME.
3 AND AS WE'RE GOING THROUGH THE STRATEGY WANT TO
4 PAUSE THAT PROGRAM WHILE THAT PROCESS IS UNDERGOING.

5 AND THEN THE PLAN IS IN DECEMBER WE RETURN
6 TO THE BOARD WITH A BUDGET FOR JANUARY THROUGH JUNE.
7 AND OUR ESTIMATE IS THAT WE'D ADD ANY FUNDS PENDING
8 THE STRATEGIC ALLOCATION FRAMEWORK RECOMMENDATIONS.
9 AND JUST TO CAVEAT THAT FOR THE BOARD TO KNOW THAT
10 WE DON'T ANTICIPATE ASKING FOR ANY FUNDS ABOVE AND
11 BEYOND WHAT WE'VE ASKED IN PREVIOUS FISCAL YEARS AND
12 PROBABLY IT WILL NOT MATCH THE BUDGET WE'RE
13 REQUESTING FOR TODAY.

14 SO I WANTED TO PROVIDE A LITTLE BIT OF
15 CONTEXT. I'LL SHOW YOU WHAT THE REQUESTS ARE FOR
16 EACH OF THE PILLAR AREAS AND WHAT WENT INTO THOSE
17 NUMBERS. SO FOR THE NEXT SIX MONTHS, WE'RE ASKING
18 FOR A BUDGET OF 145.5 MILLION FOR THE CLINICAL
19 BUDGET. THIS NUMBER WAS DETERMINED BY THE GOALS SET
20 FORTH BY THE THERAPEUTIC DEVELOPMENT TEAM FOR THE
21 24/25 PERIOD, FOR THE SIX-MONTH PERIOD. WE USE THE
22 MAXIMUM TOTAL AWARD AMOUNT FOR CLIN1, CLIN2, AND
23 CLIN4 PROGRAMS, AND IT MEETS THE TARGETS OF PREVIOUS
24 FISCAL YEARS.

25 FOR THE TRANSLATION BUDGET, WE'RE

BETH C. DRAIN, CA CSR NO. 7152

1 REQUESTING A BUDGET OF 60 MILLION. AND THIS IS
2 BASED ON THE AVERAGE NUMBER OF MERITORIOUS AWARDS
3 THAT WE SAW IN FISCAL YEAR 23/24 AS WELL AS THE
4 AVERAGE AWARD AMOUNT IN FISCAL YEAR 23/24. AS THIS
5 BOARD KNOWS, WE'VE HAD A RECORD YEAR IN OUR
6 TRANSLATION PROGRAM. AND SO THAT HAS BEEN TAKEN
7 INTO ACCOUNT AS WE HAVE DEVELOPED THE SIX-MONTH
8 BUDGET.

9 FOR THE DISCOVERY BUDGET, WE'RE REQUESTING
10 162.2 MILLION. THIS SUPPORTS THE TWO PROGRAMS FROM
11 23/24 THAT DID NOT OCCUR FOR THE QUEST PROGRAM
12 ANNOUNCEMENT OF 28 MILLION AND THE REMIND-L PROGRAM
13 OF 88.2 MILLION.

14 AS MENTIONED, THE EDUCATION BUDGET, WE ARE
15 NOT REQUESTING A BUDGET FOR THE SIX-MONTH PERIOD AS
16 WE DO NOT ANTICIPATE ANY SPECIFICALLY DRIVEN RFA'S
17 BY THE CIRM TEAM, AND WE'LL BE CLOSING OUR \$50,000
18 UNSOLICITED RECURRING CALL.

19 AND THEN FOR THE INFRASTRUCTURE PROGRAM,
20 WE ARE REQUESTING 88.8 MILLION FOR THE SHARED
21 RESEARCH LABS THROUGH TWO APPLICATIONS THAT I
22 MENTIONED PREVIOUSLY AS WELL AS THE COMMUNITY CARE
23 CENTERS APPLICATION THAT IS CURRENTLY OPEN AND WILL
24 BE RECEIVING APPLICATIONS, REVIEW, AND WILL COME TO
25 APPROVAL BEFORE THE END OF DECEMBER.

BETH C. DRAIN, CA CSR NO. 7152

1 SO NOW WHAT THIS SLIDE IS SHOWING IS THE
2 PROPOSED RESEARCH BUDGET. THE FIRST COLUMN IS
3 SHOWING 23/24 APPROVED BUDGET, THE SECOND COLUMN IS
4 THE ESTIMATED TO FINISH FROM THIS YEAR. THE THIRD
5 COLUMN IS TOTALING THE FULL BUDGET, WHICH TOTALS
6 410.5 MILLION FOR THE PERIOD OF JULY THROUGH
7 DECEMBER. AND THE FOURTH COLUMN IS DEMONSTRATING
8 THAT CARRY-OVER FUNDS THAT I HAD MENTIONED OR
9 REALLOCATION OF THOSE FUNDS THAT -- THOSE PROGRAMS
10 THAT WERE IN THE PIPELINE THAT WE ANTICIPATED FROM
11 23/24, BUT ACTUALLY DUE TO VARIOUS REASONS WILL HAVE
12 APPROVALS IN 24/25. SO I WANTED TO LINE THAT OUT SO
13 YOU COULD SEE THE COMPARISON OF THAT IN THERE.

14 AND BEFORE I PAUSE, I'D LIKE TO ASK IF
15 THERE'S ANY QUESTIONS OR CLARIFICATIONS I CAN
16 ANSWER.

17 MR. JUELSGAARD: JUST SO I'M CLEAR. THE
18 REALLOCATION MONIES ARE EMBEDDED IN THE 410 MILLION?

19 MS. LEWIS: IT IS.

20 MR. JUELSGAARD: THE NEW MONEY IS WELL SHY
21 410, WHAT, 360?

22 MS. LEWIS: YEAH. AND SO IT'S ABOUT -- I
23 DON'T HAVE THE NUMBER HERE, BUT IT'S ABOUT LESS THAN
24 20 PERCENT OR SOMETHING OF THAT NUMBER.

25 DR. CHOU: I HAVE A QUESTION.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. TOCHER: JUDY CHOU.

2 DR. CHOU: IT'S NOT COMPLETELY CLEAR TO ME
3 ABOUT THE PROPORTION FOR EACH AREA. I UNDERSTAND,
4 BASED ON THE EXISTING PROGRAM, THAT'S WHY I'M
5 PROBABLY TRYING TO REACT TO THAT. GIVEN WE TRY
6 TO -- ONE HAS TO TRY TO OPERATE WITHIN THE BUDGET.
7 CAN YOU EXPLAIN A LITTLE BIT ABOUT THAT PROPORTION
8 FOR, FOR EXAMPLE, DISCOVERY? WE DON'T DO ANY CUT.
9 IS THERE ANY PHILOSOPHY BEHIND THAT?

10 MS. LEWIS: I WANT TO MAKE SURE I CLARIFY
11 YOUR QUESTION. SO, DR. CHOU, IS YOUR QUESTION HOW
12 DO WE DETERMINE THE SIZE OF THE BUDGET FOR EACH OF
13 THE PROGRAMMATIC AREAS?

14 DR. CHOU: THE RELATIONSHIP BETWEEN
15 CLINICAL SIDE AND COMPARED TO DISCOVERY, SEEMS LIKE
16 THE FUNDING IS PRETTY SIMILAR. BUT I THINK
17 TYPICALLY WE WOULD GIVE CLINICAL A LITTLE BIT MORE
18 BECAUSE GIVEN THE NEED.

19 MS. LEWIS: SURE. AND SO I THINK THE ONE
20 THING TO REMEMBER FOR THIS BUDGET IS THAT IT'S ONLY
21 A SIX-MONTH BUDGET. SO FOR CLINICAL, WE'RE ONLY
22 SHOWING THE SIX MONTHS OF THAT REOCCURRING CYCLE.
23 FOR DISCOVERY, THOSE BUDGETS ARE BASED ON TWO CALLS,
24 ONE OF THEM BEING THE REMIND-L, THE NEW INITIATIVE
25 THAT WE DISCUSSED EARLIER TODAY, THAT THE BOARD HAS

BETH C. DRAIN, CA CSR NO. 7152

1 COMMITTED TO MAKE A SIGNIFICANT INVESTMENT IN THE
2 NEURO SPACE. BUT TYPICALLY THE CLINICAL BUDGETS
3 HISTORICALLY HAVE BEEN LARGER. I'D SAY
4 HISTORICALLY. AND THEN DISCOVERY AND TRANSLATION
5 LESS THAN THAT DUE TO THE NATURE OF HOW MUCH THE
6 TOTAL AWARD AMOUNTS ARE AND THE VOLUME OF AWARDS.

7 ALSO ONE THING TO NOTE IS DISCOVERY, THE
8 NUMBER OF AWARDS WE'RE FUNDING FOR DISCOVERY IS
9 GREATER THAN THE INDIVIDUAL AWARDS BECAUSE JUST THE
10 POPULATION OF APPLICATIONS WE GET IS LARGER.

11 AND I DON'T KNOW IF THAT ANSWERS YOUR
12 QUESTION, BUT I THINK THAT'S PARTLY ALSO AS WE GO
13 INTO THE STRATEGIC ALLOCATION FRAMEWORK AND COME
14 BACK IN DECEMBER, THE BOARD'S GUIDANCE AND HOW MUCH
15 FUNDING WE WANT TO PUT TO THESE VARIOUS AREAS IS
16 ALSO SOMETHING THAT WE SEEK.

17 DR. CHOU: I DEFINITELY THINK THE LAST
18 COMMENT YOU MADE, THAT'S PROBABLY WHERE WE SHOULD
19 KIND OF PONDERING ABOUT. THANK YOU FOR THE ANSWER.

20 MS. LEWIS: THANK YOU.

21 CHAIRMAN IMBASCIANI: FRED IS NEXT. FRED
22 FISHER.

23 DR. FISHER: THANK YOU. SINCE YOU'VE GOT
24 ZERO PROPOSED FOR THE EDUCATION BUDGET, I'M
25 WONDERING IF WE SHOULD BE INFERRING THAT AS PART OF

BETH C. DRAIN, CA CSR NO. 7152

1 THE STRATEGIC REALLOCATION PROCESS IN THE SECOND
2 HALF OF THE FISCAL YEAR WHETHER OR NOT EDUCATION
3 WILL BE PRIORITIZED SUFFICIENTLY TO REINSTATE THE
4 BUDGET FOR IT. OR SHOULD WE BE TAKING SOME CLUES
5 ABOUT THE DIRECTION THAT THAT STRATEGIC ALLOCATION
6 PROCESS IS GOING GIVEN THAT EDUCATION IS THE ONLY
7 AREA WHERE FUNDING WAS TAKEN DOWN TO ZERO.

8 MS. LEWIS: THAT'S A GREAT QUESTION, FRED.
9 SO TO GIVE CONTEXT, EARLY ON IN 2021 WITH THE
10 RELAUNCH OF PROP 14, WE REISSUED ALL OUR EDUCATION
11 PROGRAMS. WE HAVE FOUR: BRIDGES, SPARK, COMPASS,
12 AND RESEARCH TRAINING, SOME SCHOLARS. THOSE ARE
13 FIVE-YEAR AWARDS, AND WE'RE MIDWAY THROUGH ALL OF
14 THOSE AWARDS. SO THOSE PROGRAMS ARE FULLY FUNDED.

15 IF WE REFUNDED OR REVAMPED OR CHANGED ANY
16 OF THOSE THINGS, THOSE WOULD COME TO THE BOARD IN
17 FUTURE YEARS. ANY NEW PROGRAMS WOULD COME OUT OF
18 THE STRATEGIC ALLOCATION FRAMEWORK. AND SO THIS
19 SIX-MONTH BUDGET IS REPRESENTING WHAT WE KNOW TODAY
20 AND HAS NO IMPLICATIONS OF ANY PLANNING THAT'S BEEN
21 GOING ON OR ANYTHING OF THAT. THAT WOULD COME IN
22 DECEMBER.

23 THE CONFERENCE GRANT BUDGET IS A BUDGET
24 WE'VE BEEN ASKING FOR SEVERAL YEARS, WHICH IS
25 TYPICALLY ABOUT ONE AND A HALF MILLION TO TWO

BETH C. DRAIN, CA CSR NO. 7152

1 MILLION. AND THE CIRM TEAM HAS NOTICED OVER THE
2 PAST YEAR THAT THIS IS REALLY REFOCUSING THAT RFA TO
3 MEET THE OBJECTIVES OF THE NEW STRATEGY TO ENSURE
4 WE'RE ENCOMPASSING DIVERSITY, EQUITY, AND INCLUSION
5 ELEMENTS INTO THAT AS NEEDED, AND MAKES SENSE TO
6 REOPEN ONCE THE STRATEGY IS DONE.

7 CHAIRMAN IMBASCIANI: THANK YOU.

8 DR. FISHER: I OBSERVE THAT CONFERENCES
9 THAT CIRM HAS VALUED THEIR PARTICIPATION IN MAY BE
10 BEING PLANNED. AND THE TIMING OF REOPENING THE
11 APPLICATION PROCESS AND THOSE CONFERENCES MAY BE OUT
12 OF SYNC.

13 MS. LEWIS: SURE. SO WHAT THIS -- THERE
14 ARE NO CIRM PLANNED CONFERENCES IN TERMS OF
15 TYPICALLY WE HAVE A CALL FOR OUR ALPHA CLINICS OR
16 EDUCATION PROGRAMS. THOSE HAVE ALL BEEN TAKEN CARE
17 OF, AWARDED ALREADY FOR THE NEXT UPCOMING YEAR. THE
18 PROGRAM THAT WOULD BE PAUSED DURING THOSE TWO
19 QUARTERS WOULD BE THE \$50,000 UNSOLICITED CONFERENCE
20 GRANTS WHERE IT'S AN OPEN CALL RELATED TO
21 REGENERATIVE MEDICINE THAT GOES THROUGH A REVIEW.
22 TYPICALLY MANY OF THESE CIRM IS NOT FULLY FUNDING.
23 WE ARE JUST FUNDING A PORTION OF THAT UP TO 50,000,
24 MANY TIMES LESS THAN THAT.

25 DR. FISHER: I'LL JUST EXPRESS MY

BETH C. DRAIN, CA CSR NO. 7152

1 PREFERENCE, THAT GIVEN THE LOW DOLLAR AMOUNT OF THAT
2 ACTIVITY IN PARTICULAR THAT FUNDING BE INCLUDED FOR
3 THOSE CONFERENCE APPLICATIONS.

4 CHAIRMAN IMBASCIANI: I WOULD LIKE TO
5 ENTERTAIN A MOTION TO CONSIDER TO ACCEPT THE
6 PROPOSED INTERIM BUDGET.

7 DR. ALMASRI: SO MOVED.

8 CHAIRMAN IMBASCIANI: EYAD HAS THE MOTION.

9 MR. JUELSGAARD: SECOND.

10 CHAIRMAN IMBASCIANI: SECOND. FIRST,
11 FURTHER COMMENT FROM BOARD MEMBERS ON THE PROPOSAL?

12 MR. JUELSGAARD: JENNIFER, SO I UNDERSTAND
13 YOUR COMMENT. YOU SAID WE'RE A LITTLE THIN ON THE
14 CLINICAL SIDE OF THE BUDGET RELATIVELY SPEAKING.
15 YOU DIDN'T SAY THAT --

16 MS. LEWIS: NO. SORRY. NO. THIS IS
17 ANTICIPATING THE INCREASES FROM THE CONCEPT
18 APPROVALS FROM LAST YEAR IN BUDGET, THE CLIN4,
19 THAT'S ALL TAKEN INTO ACCOUNT IN THIS. BUT IT'S IN
20 PACE WITH THE GOALS THAT WE HAVE SET FORTH TODAY NOT
21 INCLUDING THE STRATEGIC ALLOCATION FRAMEWORK. JUST
22 TO SAY IT'S COMPARABLE TO BUDGETS IN THE PAST AS
23 WELL AS CONSIDERING THOSE ADJUSTMENTS THAT HAVE BEEN
24 MADE DURING THE YEAR.

25 MR. JUELSGAARD: WELL, THIS ISSUE IS GOING

BETH C. DRAIN, CA CSR NO. 7152

1 TO COME UP WHEN GIL MAKES HIS PRESENTATION -- I'M
2 ASSUMING IT'S GIL -- ABOUT SETTING A CAP, IF WE DO,
3 ON THE NUMBER OF CLINICAL AWARDS THAT WE'RE GOING TO
4 BE REVIEWING AT ANY PARTICULAR TIME ON THE ONE HAND
5 AND OUR STRATEGIC ALLOCATION FRAMEWORK ON THE OTHER
6 WHICH REALLY FOCUSES ON DOING CLINICAL WORK. SO
7 SOMEHOW WE'RE GOING TO HAVE TO HARMONIZE WHAT OUR
8 LONGER TERM GOALS ARE WITH THE PROCESS THAT WE USE
9 TO GET THERE. SO WHEN THE TIME COMES, GIL.

10 CHAIRMAN IMBASCIANI: THANKS, FRED.
11 FURTHER COMMENT FROM BOARD MEMBERS ON THE PROPOSED
12 INTERIM BUDGET? OKAY. NO HANDS.

13 MR. TOCHER: NO BOARD HANDS.

14 CHAIRMAN IMBASCIANI: NO BOARD. SO I
15 WOULD MAKE THE MICROPHONE AVAILABLE TO MEMBERS OF
16 THE PUBLIC. ANYBODY WANT TO COMMENT ON THE INTERIM
17 BUDGET? ANYONE ONLINE THEN?

18 MR. TOCHER: THERE IS SOMEONE ONLINE.

19 CHAIRMAN IMBASCIANI: CAN OUR STAFF
20 FACILITATE THAT?

21 VICE CHAIR BONNEVILLE: PAUL, IF YOU COULD
22 UNMUTE YOURSELF AND START, YOU'LL HAVE THREE
23 MINUTES.

24 DR. AUGUST: GREAT. THANK YOU VERY MUCH.
25 THANK YOU FOR THE OPPORTUNITY TO ADDRESS YOU TODAY.

BETH C. DRAIN, CA CSR NO. 7152

1 I'M HERE TO ADVOCATE FOR THE FUNDING OF A PREVIOUS
2 PROPOSAL THAT WAS SUBMITTED IN THE PREVIOUS FISCAL
3 YEAR FOCUSED ON DEVELOPING A GROUNDBREAKING, GENETIC
4 THERAPY FOR HUNTINGTON'S DISEASE. THIS DEVASTATING
5 NEURODEGENERATIVE DISORDER HAS NO CURRENT DISEASE
6 MODIFYING TREATMENTS, AND IT LEAVES PATIENTS AND
7 THEIR FAMILIES WITH LIMITED OPTIONS.

8 OUR APPROACH AT REVIR IS TO DIRECTLY
9 TARGET THE ROOT CAUSE OF HUNTINGTON'S DISEASE
10 THROUGH OUR PRECLINICAL CANDIDATE RTX038 WHICH
11 INDUCES THE DEGRADATION OF MUTANT HUNTINGTON
12 MESSENGER RNA. WE BELIEVE THIS OFFERS A
13 TRANSFORMATIVE BREAKTHROUGH FOR HUNTINGTON'S DISEASE
14 PATIENTS.

15 WE'RE A SMALL CALIFORNIA BIOTECH WITH
16 LIMITED RESOURCES. AND CURRENTLY THIS PROGRAM IS ON
17 HOLD DESPITE ACHIEVING A FUNDABLE SCORE IN THE
18 RECENT REVIEW BY CIRM. OUR PROJECT AS WELL AS MANY
19 OTHERS REMAINS UNFUNDED DUE TO THE BUDGET
20 CONSTRAINTS. IN FACT, OUR PROJECT WAS ACTUALLY ON
21 THE BORDER OF THE FUNDING LINE. SO CLEARLY THE
22 TRAN1 BUDGET WAS INSUFFICIENT TO MEET THE NEED TO
23 SUPPORT THE PRECLINICAL CANDIDATES TO BE ADVANCED TO
24 THE CLINIC THIS ROUND. UNFORTUNATELY THIS SITUATION
25 DELAYS OUR RESEARCH PROGRAM FROM ADVANCING, BUT ALSO

BETH C. DRAIN, CA CSR NO. 7152

1 PLACES UNNECESSARY BURDEN FOR EVERYONE TO RESUBMIT
2 OUR ENTIRE APPLICATIONS AGAIN, ONLY TO REAPPLY FOR
3 THE FUNDING THAT YOU ARE APPROVING TODAY.

4 I THINK THAT YOU WILL AGREE THAT
5 RESUBMITTING PREVIOUSLY VETTED EXCEPTIONAL PROPOSALS
6 WASTES VALUABLE RESOURCES AND TIME, NOT ONLY BY THE
7 APPLICANTS AND CIRM, BUT IMPORTANTLY DELAYS THE
8 POTENTIAL OF THESE TREATMENTS TO REACH THE PATIENTS
9 WHO URGENTLY NEED THEM.

10 WE PROPOSE FUNDING THE SHORTFALL OF THE
11 \$27 MILLION FOR SIX DESERVING GRANTS THAT WERE
12 DEEMED EXCEPTIONAL, BUT WERE NOT FUNDED COULD BE
13 ADDRESSED BY YOU TODAY BY LEVERAGING THE \$16 MILLION
14 REMAINING FROM THE CURRENT FISCAL YEAR'S CLIN AND
15 TRAN PROGRAMS AND COMBINING THOSE WITH \$10 MILLION,
16 \$11 MILLION OF THE NEWLY APPROVED BUDGET YOU CAN
17 FUND THESE APPLICATIONS.

18 IN ADDITION, YOU COULD EVEN CONSIDER
19 EARMARKING PART OF THE 1.13 BILLION DEDICATED TO
20 NEUROSCIENCE AT CIRM FOR NEURODEGENERATIVE DISORDERS
21 LIKE HUNTINGTON'S DISEASE. AND THIS WOULD BE A
22 PRUDENT AND IMPACTFUL USE OF THESE FUNDS ON ALREADY
23 PREVIOUSLY VETTED AND DEEMED FUNDABLE PROGRAMS.

24 IN CONCLUSION, BY FUNDING THESE PROGRAMS,
25 YOU WILL ENABLE US TO ADVANCE OUR RTX038 THROUGH

BETH C. DRAIN, CA CSR NO. 7152

1 IND-ENABLING STUDIES, BRINGING US CLOSER TO CLINICAL
2 TRIALS AND ULTIMATELY A LIFE-CHANGING THERAPY FOR
3 HUNTINGTON'S DISEASE PATIENTS. WE ASK THAT YOU NOT
4 DELAY THE PROGRAM, BUT SUPPORT US IN MAKING A
5 TANGIBLE DIFFERENCE IN THE LIVES OF THOSE SUFFERING
6 FROM HUNTINGTON'S DISEASE.

7 MS. MANDAC: APOLOGIES, DOCTOR. WE ARE
8 OUT OF TIME. THANK YOU SO MUCH, DR. AUGUST. WE CAN
9 MOVE ON TO THE NEXT SPEAKER, MARY MCMAHON.

10 CHAIRMAN IMBASCIANI: YES, GO AHEAD.
11 THANK YOU. IDENTIFY YOURSELF FOR US.

12 DR. MCMAHON: DEAR COMMITTEE MEMBERS, MY
13 NAME IS MARY MCMAHON. I'M FROM REVIR THERAPEUTICS.
14 THANK YOU FOR YOUR CONTINUED COMMITMENT TO
15 ACCELERATING DISCOVERY AND DELIVERY OF
16 TRANSFORMATIVE TREATMENTS FOR PATIENTS IN CALIFORNIA
17 AND AROUND THE WORLD. I REPRESENT REVIR
18 THERAPEUTICS, A BAY AREA START-UP THAT YOU JUST
19 HEARD FROM DR. PAUL AUGUST, DEVELOPING TREATMENTS
20 FOR RARE NEUROLOGICAL DISEASES.

21 WHILE OUR TRAN1 APPLICATION WAS
22 RECOMMENDED FOR FUNDING AND MET THE ESTABLISHED
23 CRITERIA, WE WERE PASSED OVER IN THE LAST CYCLE, AND
24 WE ARE ENCOURAGED TO REAPPLY. HOWEVER, THE PROCESS
25 OF RESUBMITTING THE APPLICATION IN THE UPCOMING

BETH C. DRAIN, CA CSR NO. 7152

1 CYCLE APPEARS UNFAIR, NOT ONLY TO THE CIRM STAFF AND
2 REVIEWERS WHO HAVE ALREADY SPENT A GREAT DEAL OF
3 TIME AND EFFORT TO RECOMMEND REVIEW AND RECOMMEND
4 THE PROPOSALS FOR FUNDING.

5 WE UNDERSTAND YOU HAVE SET UP A TASK FORCE
6 ON NEUROSCIENCE AND MEDICINE TO GENERATE A PLAN FOR
7 FUNDING RELATED TO NEUROSCIENCE AND RELATED MEDICINE
8 AS SPECIFIED IN PROPOSITION 14. OUR TRAN1
9 APPLICATION IS FOCUSED ON THERAPEUTIC DEVELOPMENT
10 FOR HUNTINGTON'S DISEASE, A DEVASTATING
11 NEURODEGENERATIVE DISORDER THAT DEVELOPS OVER 15 TO
12 20 YEARS WITH NO EFFECTIVE THERAPIES.

13 WE URGE CIRM TO RECONSIDER PRIORITIZATION
14 APPROVAL OF THE TRAN APPLICANTS RECOMMENDED BUT NOT
15 FUNDED IN THE PREVIOUS CYCLE AND TO UTILIZE FUNDS
16 SPECIFIED IN PROPOSITION 14 TO SUPPORT THE PROPOSALS
17 WITHOUT RESUBMISSION. THANK YOU FOR YOUR TIME AND
18 CONSIDERATION.

19 CHAIRMAN IMBASCIANI: THANK YOU FOR YOUR
20 COMMENTS. DO WE HAVE ANY MEMBERS OF THE PUBLIC?

21 MR. TOCHER: ANOTHER MEMBER ON THE PHONE.

22 CHAIRMAN IMBASCIANI: ANOTHER MEMBER ON
23 THE PHONE. IS THIS ANNE MORENO VEGA?

24 DR. MORENO-VEGA: THAT'S CORRECT, YES.

25 CHAIRMAN IMBASCIANI: GO AHEAD. YOU HAVE

BETH C. DRAIN, CA CSR NO. 7152

1 THREE MINUTES TO SPEAK. THANK YOU.

2 DR. MORENO-VEGA: APPRECIATE IT. GOOD
3 AFTERNOON. I'M ANNA MORENO. I'M THE CEO AND
4 CO-FOUNDER OF MEDICA THERAPEUTICS. WE'RE A SAN
5 DIEGO-BASED COMPANY THAT'S DEVELOPING GENE THERAPIES
6 FOR CHRONIC PAIN, OBVIOUSLY A HUGE UNMET MEDICAL
7 NEED.

8 I'M HERE NOT SPEAKING JUST FOR MYSELF, BUT
9 OTHER APPLICANTS THAT ACTUALLY APPLIED FOR THE TRAN
10 APPLICATIONS THAT WERE RECOMMENDED FOR FUNDING. AND
11 AS YOU CAN SEE, WE ACTUALLY CAME TOGETHER AND SENT A
12 LETTER TOGETHER TO REALLY ADVOCATE FOR OUR
13 APPLICATIONS. I WOULD NOT LIKE TO REPEAT WHAT PAUL
14 AND MARY HAVE MENTIONED RIGHT NOW, BUT IN GENERAL WE
15 JUST FELT THAT IT WAS UNFAIR FOR US, BUT OBVIOUSLY
16 FOR THE CIRM AND THE SCIENTIFIC REVIEW TO GO THROUGH
17 THIS WHOLE 50 APPLICATIONS DOWN TO 16 THAT WERE
18 RECOMMENDED AND SIX OF US WERE LEFT UNFUNDED.

19 WHAT WAS REALLY INTERESTING WAS THAT ALL
20 OF US ARE IN THE NEURO SPACE. AND AS YOU KNOW,
21 ACTUALLY FOR CHRONIC PAIN, LESS THAN 1.7 PERCENT OF
22 FUNDING GOES TO CHRONIC PAIN AND INVESTMENT. SO
23 IT'S A HUGE UNMET MEDICAL NEED.

24 SO WE REALLY ASK YOU TO RECONSIDER FUNDING
25 THESE APPLICATIONS WHERE THE NEW BUDGET APPROVED

BETH C. DRAIN, CA CSR NO. 7152

1 LESS THAN A MONTH AFTER THE LAST REVIEW AND TO HAVE
2 US GO THROUGH THE WHOLE CYCLE JUST REALLY PUTS US
3 BEHIND. AND ACTUALLY IT'S DIFFICULT. TRAN REALLY
4 IS FOCUSED ON BRIDGING THE VALLEY OF DEATH AND IS
5 REALLY GREAT AT ACTUALLY SECURING FUNDING FOR EARLY
6 STAGE APPLICATIONS. BUT THE TRAN IS REALLY CRUCIAL
7 FOR US FOR THINGS SUCH AS TOXICOLOGY AND
8 MANUFACTURING THAT'S REALLY DIFFICULT TO FUND
9 OTHERWISE.

10 AS WELL, INVESTORS ALSO LIKE TO FOCUS ON
11 PROGRAMS THAT ARE ALREADY IN CLINICAL STAGE. SO WE
12 REALLY ARE IN THIS VALLEY OF DEATH. AS YOU KNOW,
13 IT'S A REALLY DIFFICULT FUNDING ATMOSPHERE OUT THERE
14 RIGHT NOW. SO WE REALLY ASK YOU TO RECONSIDER
15 APPROVING THESE GRANTS THAT HAVE ALREADY SHOWN TO BE
16 EXCEPTIONAL AND THAT ARE READY TO MOVE FORWARD AND
17 HELP PATIENTS. THANK YOU SO MUCH FOR YOUR TIME.

18 CHAIRMAN IMBASCIANI: THANK YOU FOR YOUR
19 COMMENT.

20 CLAUDETTE, ARE THERE ANY OTHER SPEAKERS ON
21 THE LINE OR IN THE AUDIENCE?

22 MS. MANDAC: NO OTHER HANDS RAISED AND NO
23 ONE ELSE ON THE LIST.

24 CHAIRMAN IMBASCIANI: NO FURTHER COMMENTS
25 FROM THE BOARD. SO, SCOTT, WE CAN PROCEED TO A

BETH C. DRAIN, CA CSR NO. 7152

1 VOTE.

2 MR. TOCHER: OKAY. AND THE MOTION IS TO
3 APPROVE THE CIRM INTERIM RESEARCH BUDGET FOR FY
4 24/25 AS PROPOSED. ALL THOSE IN THE ROOM IN
5 FAVOR --

6 DR. DULIEGE: I DIDN'T KNOW IF WE HAD TIME
7 FOR COMMENTS FROM THE BOARD AFTER THE PUBLIC
8 COMMENT. IS THAT OKAY OR NOT?

9 CHAIRMAN IMBASCIANI: ABSOLUTELY.

10 DR. DULIEGE: MAYBE I'M WRONG HERE, AND I
11 WOULD LOVE TO BE WRONG, BUT THERE'S A DISCONNECT FOR
12 ME IN TERMS OF THE FACT THAT WE'RE APPROVING AN
13 OVERALL BUDGET, AN ENVELOPE BUDGET RIGHT NOW, AND
14 THE FACT THAT OUR REPRESENTATIVES OF REALLY
15 IMPORTANT RESEARCH ENDEAVORS ARE COMING TO SPEAK.
16 AND I ABSOLUTELY WELCOME THEM TO SPEAK HERE IN THE
17 ROOM AND ONLINE. AND I THINK IT'S VERY COURAGEOUS,
18 BUT I FAIL TO UNDERSTAND HOW WE COULD CONSIDER THEIR
19 REQUEST, IF WE WANTED TO, WHICH IS FAR FROM BEING A
20 GIVEN, OUT OF A CONTEXT OF THERE'S A TIME FOR THESE
21 PARTICULAR GRANTS TO BE REVIEWED, WHICH HAPPENED IN
22 THE PAST. AND I UNDERSTAND THEY WANT TO COME BACK
23 TO IT.

24 MY WHOLE POINT IS TRYING TO SAY WHERE I DO
25 APPRECIATE THE PUBLIC COMMENTS WHEN IT'S TIME FOR

BETH C. DRAIN, CA CSR NO. 7152

1 THEM, RIGHT NOW IT'S COMPLETELY OUT OF CONTEXT OF
2 SOMETHING I THINK WE COULD VOTE. WE'RE NOT GOING TO
3 LOOK AT OR ONE OR TWO OF THESE APPLICATIONS
4 INDEPENDENTLY OF THE MERIT IN TODAY'S SITUATION. SO
5 I WANTED THAT TO BE A MESSAGE FOR, AGAIN, THE
6 COURAGEOUS SCIENTISTS AND COLLEAGUES OF BIOPHARMA
7 WHO HAVE COME HERE TODAY AND ONLINE. FOR ME THAT'S
8 DISCONNECTED FROM WHAT WE CAN DO COMPLETELY, AND
9 THAT HAS NOTHING TO DO WITH THE MERIT OF THEIR
10 APPLICATION AS WELL AS THEIR GRIEVANCE. SO TELL ME
11 IF I'M WRONG HERE.

12 CHAIRMAN IMBASCIANI: NO. THANK YOU. IT
13 WAS REALLY -- VERY WONDERFULLY SAID. SO THANK YOU
14 FOR THAT COMMENT. JOE PANETTA.

15 MR. PANETTA: I'M KIND OF IN A WAY IN THE
16 SAME POSITION AS ANNE-MARIE EXCEPT THAT MY CONCERN
17 IS THAT I DON'T UNDERSTAND WHY WE'RE PLACING THESE
18 TRAN APPLICATIONS IN THE STATE OF LIMBO, ESPECIALLY
19 WHEN WE'RE REQUIRING THEM TO COME BACK AND REAPPLY.
20 I DON'T KNOW HOW WE CAN FIX THE PROBLEM WITH THIS
21 YEAR'S BUDGET, BUT TO MAKE THEM COME BACK AND
22 REAPPLY, UNLESS THERE'S SOME LEGAL REASON TO DO
23 THAT, MAKES ABSOLUTELY NO SENSE TO ME.

24 CHAIRMAN IMBASCIANI: THANK YOU. THANK
25 YOU, JOE. DOES ANYBODY WANT TO MAKE A COMMENT FROM

BETH C. DRAIN, CA CSR NO. 7152

1 AN AGENDIZING POINT OF VIEW?

2 DR. DULIEGE: WE NEED TO RESPOND TO JOE.

3 CHAIRMAN IMBASCIANI: RIGHT. THAT'S WHAT
4 I'M ASKING.

5 DR. DULIEGE: WELL, MY RESPONSE TO JOE IS
6 IT MAKES NO SENSE FOR ANY PERSON TO REAPPLY UNLESS
7 THEY HAVE MODIFIED SOMETHING BASED ON THE COMMENTS
8 THAT WERE PROVIDED IN THE REVIEW. THAT'S SIMPLE AS
9 THAT.

10 DR. ALMASRI: ALSO THIS IS RAPIDLY
11 CHANGING SCIENCE. SO IF THERE IS A TIME BETWEEN THE
12 TWO APPLICATIONS, I THINK THEY MAY WANT TO CONSIDER
13 THE ADVANTAGE OF UPDATING THEIR APPLICATION TO BE
14 MORE COMPETITIVE.

15 MR. PANETTA: SO THE APPLICATIONS WEREN'T
16 COMPETITIVE. IS THAT WHAT WE'RE SAYING?

17 VICE CHAIR BONNEVILLE: JOE, THE SITUATION
18 WAS THERE WAS A REVIEW. THERE WERE RECOMMENDATIONS
19 BY THE GWG. THE RECOMMENDATIONS TO FUND EXCEEDED
20 THE AMOUNT OF MONEY THAT WAS THE BUDGET. THE TEAM
21 MADE AN INTERNAL RECOMMENDATION OF WHICH OF THE --
22 HOW MANY OF THEM WERE THERE, I'M SORRY, EIGHT WERE
23 THAT FUNDED.

24 DR. SAMBRANO: THERE WERE 11.

25 VICE CHAIR BONNEVILLE: ELEVEN THAT WERE

BETH C. DRAIN, CA CSR NO. 7152

1 FUNDED. THAT LEFT SOME THAT WERE RECOMMENDED FOR
2 FUNDING, THERE WAS NO BUDGET. SO --

3 MR. PANETTA: I COMPLETELY UNDERSTAND
4 THAT.

5 VICE CHAIR BONNEVILLE: SO THAT'S WHY --
6 GO AHEAD. I'M SORRY.

7 MR. PANETTA: HAD THERE BEEN MONEY IN THE
8 BUDGET, WOULD WE NOT HAVE FUNDED THESE APPLICATIONS?

9 VICE CHAIR BONNEVILLE: YES.

10 MR. PANETTA: SO THEY WEREN'T DEFICIENT.

11 VICE CHAIR BONNEVILLE: CORRECT.

12 MR. PANETTA: THAT'S MY -- WELL, WHY ARE
13 WE SAYING THAT THEY NEED TO BE IMPROVED?

14 DR. FISHER: POINT OF ORDER. WE'RE NOW
15 TALKING ABOUT A GWG PROCESS AS OPPOSED TO A BUDGET
16 PROCESS. AND I THINK WE OUGHT TO MOVE THE GWG
17 PROCESS TO CONVERSATION WHERE THAT'S APPROPRIATE.
18 I'M COMPLETELY SYMPATHETIC, JOE, TO THE ISSUE YOU'RE
19 RAISING AND TO THE ISSUE THAT THE PUBLIC SPEAKERS
20 WERE RAISING. BUT FROM AN AGENDA POINT OF VIEW, WE
21 HAVE A MOTION AND SECOND ON THE TABLE TO APPROVE
22 THIS BUDGET. AND THE GWG ISSUE REALLY NEEDS TO BE
23 TAKEN UP SOMEWHERE ELSE OR AT SOME OTHER TIME.

24 CHAIRMAN IMBASCIANI: THANK YOU, FRED.
25 THAT'S WHAT I WAS GETTING AT WHEN I ASKED IF THERE

BETH C. DRAIN, CA CSR NO. 7152

1 WAS ANY, PERHAPS FROM OUR LEGAL COUNSEL, ANY ISSUE
2 WITH DISCUSSING THIS SINCE IT WAS NOT AN AGENDA ITEM
3 THAT WAS PROPERLY NOTICED TO THE PUBLIC. SO I AGREE
4 WITH YOUR LAST COMMENTS, FRED.

5 SO I WOULD LIKE TO PROCEED TO A VOTE ON
6 THE MOTION THAT'S STANDING, WHICH IS THE ACCEPTANCE
7 OF THE INTERIM BUDGET, THE INTERIM RESEARCH BUDGET
8 FOR THE NEXT SIX MONTHS.

9 MR. TOCHER: ALL THOSE IN THE ROOM IN
10 FAVOR SAY AYE. THOSE OPPOSED TO SAY NAY. ANY
11 ABSTENTIONS? I'LL POLL THE MEMBERS ON THE ZOOM.

12 MOHAMED ABOUSALEM.

13 DR. ABOUSALEM: YES.

14 MR. TOCHER: JUDY CHOU.

15 DR. CHOU: YES.

16 MR. TOCHER: MONICA CARSON.

17 DR. CARSON: YES.

18 MR. TOCHER: LEONDRA CLARK-HARVEY.

19 DR. CLARK-HARVEY: YES.

20 MR. TOCHER: SHLOMO MELMED.

21 DR. MELMED: YES.

22 MR. TOCHER: MARK FISCHER-COLBRIE.

23 MR. FISCHER-COLBRIE: YES.

24 MR. TOCHER: FRED FISHER.

25 DR. FISHER: YES.

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MR. TOCHER: RICH LAJARA.
MR. LAJARA: YES.
MR. TOCHER: LINDA MALKAS.
DR. MALKAS: YES.
MR. TOCHER: CHRIS MIASKOWSKI.
DR. MIASKOWSKI: YES.
MR. TOCHER: ADRIANA PADILLA.
DR. PADILLA: YES.
MR. TOCHER: JOE PANETTA.
MR. PANETTA: YES.
MR. TOCHER: MARV SOUTHARD.
DR. SOUTHARD: YES.
MR. TOCHER: MICHAEL STAMOS.
DR. STAMOS: YES.
MR. TOCHER: KEVIN XU.
DR. XU: YES.
MR. TOCHER: GREAT. THANK YOU. THE
MOTION CARRIES UNANIMOUSLY.
CHAIRMAN IMBASCIANI: THANK YOU, SCOTT.
AND THANK YOU, JEN, FOR YOUR PRESENTATION.
YSABEL, YOU HAVE THE FLOOR.
MS. DURON: SO WE'VE GOT A NUMBER OF
PEOPLE IN THE PUBLIC WHO PRESENTED, HAD EVEN SOME
ALTERNATIVE IDEAS. YOU JUST SAID LET THE GWG HANDLE
THIS, AND SHOULD THEY GO BACK TO GWG. WHAT ARE THEY

BETH C. DRAIN, CA CSR NO. 7152

1 RECOMMENDING FOR THEM TO KNOW WHAT NEXT STEPS ARE?
2 THEY CAME, THEY MADE A CASE. THEY'RE ASKING FOR
3 DIRECTION. WHAT ARE WE TELLING THEM TO DO NEXT?
4 WHAT DOES MANAGEMENT RECOMMEND? WHAT DOES
5 MANAGEMENT RECOMMEND? THANK YOU AS MY SOLICITOR
6 GENERAL.

7 CHAIRMAN IMBASCIANI: THIS IS CERTAINLY A
8 TOPIC THAT DESERVES DISCUSSION AND CONSIDERATION.
9 THE BOARD, BEING THE SIZE THAT IT IS AND WITH AN
10 AGENDA THAT'S STATED IN FRONT OF US IS A VERY
11 DIFFICULT PLACE TO CONSIDER AB OVO A CONVERSATION
12 LIKE THIS.

13 IT WOULD BE MY PREFERENCE TO TAKE IT BACK
14 TO AN APPROPRIATE SUBCOMMITTEE OF THE BOARD FOR
15 CONSIDERATION TO BE BROUGHT FORWARD, THEN, BACK TO
16 US AT THE MOST APPROPRIATE TIME. BUT PEOPLE CAN
17 INFORM ME.

18 DR. DULIEGE: I DON'T THINK IT'S A MATTER
19 OF A SUBCOMMITTEE OF THE BOARD. I THINK WE HEARD
20 THE RECOMMENDATION THAT IT WOULD GO BACK TO THE CIRM
21 TEAM TO MAKE THAT RECOMMENDATION BACK TO THE BOARD.

22 DR. THOMAS: IF I CAN SPEAK, MR. CHAIR.
23 THE WAY THIS IS IMAGINED AT THIS POINT IS THESE
24 APPLICANTS, BECAUSE OF THE BUDGETARY SITUATION, ARE
25 WELCOME TO REAPPLY IN JULY. THIS ISN'T A MATTER

BETH C. DRAIN, CA CSR NO. 7152

1 WHERE THE REAPPLICATION PERIOD IS MONTHS FROM NOW.
2 IT'S IMMEDIATE. AND SO THAT IS THE PROCESS THAT THE
3 INTERNAL TEAM IS RECOMMENDING AT THIS POINT.

4 MS. DURON: YES, THAT'S WHAT I DID HEAR.
5 BUT WHAT I ALSO HEARD WAS THAT, FROM OUR PRESENTERS
6 AND THE PUBLIC, THAT THEY'RE FINDING THIS PROCESS OR
7 THE IDEA OF REAPPLYING. SINCE THEY INITIALLY, I
8 GUESS, WERE APPROVED, THEY JUST DIDN'T MAKE THE CUT,
9 THAT THEY SHOULD HAVE TO GO THROUGH THIS RIGOROUS
10 MOVE AGAIN. AND SO I'M SAYING IS THERE -- SHOULD WE
11 THINK ABOUT OTHER SOLUTIONS; THAT IS, IN
12 SUBCOMMITTEE OR GWG, FOR THIS? WE CAN START A
13 REVOLUTION HERE AND WE CAN BE VERY CALM AND
14 WHATEVER. I'M JUST WANTING THEM NOT TO GO AWAY
15 FEELING LIKE THEY HAVEN'T BEEN HEARD OR J.T. IS
16 SAYING THIS IS THE ANSWER. COME BACK. SORRY. IT
17 MIGHT BE SUPER DIFFICULT TO HAVE TO DO THIS ALL OVER
18 AGAIN, BUT I DON'T WANT YOU TO BE DISCOURAGED ABOUT
19 IT.

20 DR. THOMAS: TURN THE FLOOR OVER TO DR.
21 SAMBRANO.

22 DR. SAMBRANO: WE VERY MUCH APPRECIATE THE
23 COMMENTS FROM APPLICANTS, AND WE CERTAINLY
24 UNDERSTAND THE DIFFICULTY OF HAVING TO PUT TOGETHER
25 ANOTHER APPLICATION IN ORDER TO RESPOND TO WHAT WAS

BETH C. DRAIN, CA CSR NO. 7152

1 ALREADY DECLARED A MERITORIOUS APPLICATION BY THE
2 GWG.

3 I THINK PART OF THE PROBLEM THAT WE'RE
4 FACING HERE IS ANALOGOUS TO WHAT I'M GOING TO TALK
5 ABOUT IN A LITTLE BIT RELATED TO THE CLINICAL
6 PROGRAM, THAT OVERALL WE HAVE MORE MERITORIOUS
7 APPLICATIONS THAN WE CAN SUPPORT. THAT'S JUST THE
8 BOTTOM LINE. SO WE'RE GOING TO HAVE TO MAKE
9 DECISIONS ABOUT ULTIMATELY HOW MANY WE CAN FUND.

10 HOWEVER, IN TERMS OF THE PROCESS, WE CAN
11 CONSIDER OPTIONS AS OF TODAY. IF WE TAKE
12 APPLICATIONS AND NOT HAVE THEM GO THROUGH THE
13 APPLICATION PROCESS AGAIN, WE RISK THE ISSUE OF OVER
14 TIME THINGS DO CHANGE. WE WOULD LIKE THE GRANTS
15 WORKING GROUP TO LOOK AT THESE APPLICATIONS AGAIN,
16 KNOWING, YES, THEY WERE RECOMMENDED BEFORE, BUT DO
17 THEY STILL HOLD UP. AND IF THEY STILL DO, THEY
18 WOULD LIKELY BE RECOMMENDED AGAIN, AND WE WOULD
19 BRING THEM FOR BACK FOR CONSIDERATION.

20 SO THAT'S OUR THINKING ABOUT HOW WE
21 APPROACH IT, THAT THE GRANTS WORKING GROUP NEEDS TO
22 HAVE AN OPINION ABOUT THESE APPLICATIONS IN THE
23 CONTEXT OF THE NEW COHORT OF APPLICATIONS THAT COMES
24 IN THE NEXT CYCLE.

25 DR. LEVITT: THIS ACTUALLY CAME UP LAST

BETH C. DRAIN, CA CSR NO. 7152

1 TIME, MY RECOLLECTION, IN CONVERSATION. IT WAS A --
2 I MADE THE COMMENT THAT PAYLINES CHANGE. ALL WHO
3 HAVE EXPERIENCED FEDERAL AGENCIES OR STATE AGENCIES,
4 PAYLINES CHANGE BASED ON THE BUDGETS. ALL OF US WHO
5 HAVE APPLIED FOR GRANTS AND HAVE HAD VERY POSITIVE
6 GRANT REVIEWS AND THEY'RE MERITORIOUS DEPEND UPON
7 WHAT THE BUDGET IS WITHIN THAT FISCAL YEAR.

8 I'VE HAD GRANT APPLICATIONS THAT HAVE BEEN
9 IN THE EIGHTH PERCENTILE AND NOT FUNDED. NIH
10 TYPICAL PAYLINE FOR THAT INSTITUTE WAS 12 PERCENT.
11 I HAD TO REAPPLY. THIS IS STANDARD PRACTICE. THIS
12 IS AN EXCEPTION. THIS IS THE RULE OF THE WAY -- AS
13 FAR AS I KNOW NON-PROFITS DO THE SAME THING. THEY
14 MAY HAVE A YEAR IN WHICH THEY RAISE LESS MONEY TO
15 SUPPORT GRANTS. THE GRANTS ARE REVIEWED
16 DISCONNECTED FROM THAT. BUT THEN DECISIONS HAVE TO
17 BE MADE ABOUT WHERE THE PAYLINE IS GOING TO BE.
18 UNFORTUNATELY, BELIEVE ME, I FEEL THE PAIN.
19 UNFORTUNATELY THOSE THAT MAY HAVE BEEN FUNDED A FEW
20 YEARS AGO WHEN THEY RAISED MORE MONEY FOR THAT
21 NON-PROFIT CAN'T BE DONE IN A PARTICULAR FISCAL
22 YEAR.

23 SO REAPPLYING IS A ROYAL PAIN. THERE'S NO
24 DOUBT ABOUT IT. BUT IF WE BREAK FROM THAT PROCESS,
25 HOW DO WE DEAL WITH THINGS IN THE FUTURE THAT --

BETH C. DRAIN, CA CSR NO. 7152

1 WHERE THIS IS GOING TO -- THESE PAYLINES CHANGE ALL
2 THE TIME AT ALL AGENCIES THAT FUND RESEARCH. THEY
3 JUST DO. AND THAT'S JUST PART OF IT. SO THESE ARE
4 STRONG APPLICATIONS THAT ARE GOING TO GO BACK IN,
5 AND REVIEW BOARDS GENERALLY HAVE MEMORIES ABOUT
6 THOSE GRANTS THAT ARE REALLY POSITIVE. AND SO THEY
7 MAY IMPROVE IN TERMS OF THEIR SCORES THAT THEY GET,
8 AND THEY MOVE TO THE FRONT OF LINE. THIS IS A
9 NORMAL PROCESS.

10 MR. JUELSGAARD: ONE QUICK POINT TO FOLLOW
11 UP ON PAT. SO WE HAVE AN INSTITUTIONAL MEMORY
12 WITHIN THIS GROUP. THAT INSTITUTIONAL MEMORY
13 INCLUDES WHAT HAPPENS WITH THESE APPLICATIONS THAT
14 WEREN'T FUNDED. AND SO WHEN THESE APPLICANTS COME
15 FORWARD THE NEXT TIME, THAT INSTITUTIONAL MEMORY
16 MIGHT JUST HAPPEN TO KICK IN IN TERMS OF THINKING
17 ABOUT FUNDING THEM.

18 CHAIRMAN IMBASCIANI: THAT'S A VERY NICE
19 COMMENT. THANK YOU.

20 DR. FISHER: I THINK IT'S ALSO IMPORTANT
21 TO REALIZE THAT WE DON'T BUDGET ON A MONTHLY BASIS.
22 IT'S AN ANNUAL BUDGET. SO IN JULY THERE WILL BE
23 PLENTY OF MONEY TO FUND ALL THE MERITORIOUS
24 PROJECTS, I WOULD GUESS. SO WHAT HAPPENED THIS
25 YEAR, I THINK, WAS MAYBE A FIRST-TIME SITUATION FOR

BETH C. DRAIN, CA CSR NO. 7152

1 CIRM AND REPRESENTS CIRM'S EVOLUTION AS A MATURE
2 SCIENTIFIC GRANTMAKING ORGANIZATION. THAT WAS
3 REALLY WELL DESCRIBED A FEW MINUTES AGO. SO THERE
4 WILL LIKELY BE PLENTY OF MONEY TO FUND ALL OF THE
5 MERITORIOUS PROPOSALS THAT ARE REVIEWED IN JULY OR
6 WHENEVER THE FIRST REVIEW MEETINGS ARE. AND, YES,
7 REVIEW COMMITTEES HAVE GREAT MEMORIES BASED ON MY
8 EXPERIENCE IN PARTICIPATING ON I THINK EVERY GWG
9 WORK GROUP.

10 CHAIRMAN IMBASCIANI: THANK YOU, FRED.
11 DR. MELTZER.

12 DR. MELTZER: PRESUMABLY, WHILE NONE OF US
13 LIKE TO RESUBMIT GRANTS, IF THERE WEREN'T MANY
14 CRITICISMS, IT'S A MORE STRAIGHTFORWARD PROCESS AND
15 MAYBE COULD BE JUST SLIGHTLY STRENGTHENED TO BE MORE
16 COMPETITIVE WITHIN THE PAYLINES AT THE NEXT CYCLE.

17 CHAIRMAN IMBASCIANI: OKAY. THANK YOU FOR
18 THE CONVERSATION. I'M GOING TO MOVE US TO AGENDA
19 ITEM NO. 12, WHICH IS NOW CONSIDERATION OF OUR
20 ADMINISTRATIVE BUDGET FOR THE NEXT YEAR. POUNEH
21 SIMPSON TO THE PODIUM. THANK YOU.

22 MS. SIMPSON: THANK YOU, MR. CHAIR, MADAM
23 VICE CHAIR, BOARD MEMBERS. I'M POUNEH SIMPSON, THE
24 SENIOR DIRECTOR OF FINANCE. AND I WILL BE
25 PRESENTING THE 24/25 ADMINISTRATIVE BUDGET.

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

LIKE ALL OF OUR OTHER PRESENTATIONS, WE
ALWAYS START WITH OUR MISSION STATEMENT:

BETH C. DRAIN, CA CSR NO. 7152

1 ACCELERATING WORLD-CLASS SCIENCE TO DELIVER
2 TRANSFORMATIVE REGENERATIVE MEDICINE TREATMENTS IN
3 AN EQUITABLE MANNER TO A DIVERSE CALIFORNIA AND
4 WORLD.

5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

BETH C. DRAIN, CA CSR NO. 7152

1 SO WITH TODAY'S PRESENTATION, THERE'S
2 THREE PARTS. I WILL BE DOING AN OVERVIEW OF PROP
3 14. THIS IS THE SECTION WE STARTED ADDING STARTING
4 LAST YEAR. WE'RE GOING TO REVIEW WHAT HAS HAPPENED
5 THIS FISCAL YEAR, FISCAL YEAR 23/24 WITH THE AMOUNT
6 OF MONEY THAT THE BOARD APPROVED AND SOME OF THE
7 MAJOR DRIVERS THAT IMPACTED WHERE WE ARE TODAY, AND
8 THEN PROPOSED FISCAL YEAR 24/25 BUDGET AND SOME OF
9 DRIVERS THAT HAVE LED US TO BRING THAT TO YOU.

10 SO WITH REGARDS TO THE PROP 14 OVERVIEW,
11 THIS CHART, LIKE I SAID, WAS ADDED LAST YEAR TO SHOW
12 THE FULL \$5.5 BILLION. AS YOU CAN SEE, THE MAJORITY
13 OF THE AMOUNT THAT THE VOTERS APPROVED FOR US IS FOR
14 GRANTS. SO I'M GOING TO FOCUS ON THE OTHER THREE
15 BUBBLES GOING COUNTERCLOCKWISE. THE VOTERS HAVE
16 GIVEN US \$300 MILLION FOR GRANT ADMINISTRATION AND
17 COMPLIANCE, 192.5 MILLION FOR ADMINISTRATION, AND
18 100 MILLION FOR THE STATE TREASURER TO OFFSET THE
19 COST OF ISSUING BONDS, WHICH IS HOW WE ARE FUNDED.

20 SO A LITTLE BIT MORE SPECIFIC ABOUT WHERE
21 WE ARE TODAY, THESE ARE THOSE DIFFERENT BUCKETS OF
22 SPENDING. AS YOU CAN SEE, THIS IS THE START OF US
23 USING PROP 14. WE'RE IN YEAR FOUR, SO THE GREEN
24 PORTION IS WHAT WE HAVE SPENT SO FAR. THERE'S A
25 CONSIDERABLE AMOUNT IN ALL THE BUCKETS EXCEPT FOR

BETH C. DRAIN, CA CSR NO. 7152

1 THE VERY LAST ONE. SO I WANT TO DRAW YOUR ATTENTION
2 TO THAT LAST ONE WHERE WE ARE ABOUT 70 PERCENT
3 SPENT.

4 THAT LAST COLUMN REPRESENTS THE AMOUNT OF
5 FUNDS SET ASIDE FOR THE TREASURER TO OFFSET THE COST
6 OF SELLING BONDS. SO THE REASON WE'RE 70 PERCENT
7 SPENT IN THAT CATEGORY IS BECAUSE THERE'S A COST FOR
8 THE FIRST FIVE YEARS TO PROP 14. AFTER THE FIFTH
9 YEAR, THE GENERAL FUND WILL START PAYING THE COST OF
10 ISSUING BONDS. SO NEXT DECEMBER, DECEMBER OF 2025,
11 WILL REPRESENT THAT FIFTH YEAR. SO WE HAVE ABOUT A
12 YEAR AND A HALF TO GO, AND WE JUST SOLD A BOND
13 RECENTLY SO WE WON'T NEED TO SELL ANOTHER BOND
14 PROBABLY UNTIL THE FALL. SO THIS IS SUFFICIENT
15 FUNDING TO CARRY US TO DECEMBER OF 2025.

16 SO LOOKING AT 2023/24'S BUDGET AND THE
17 RESULTS OF IT, WE DID CONTINUE RAMPING UP. THE
18 BUDGET INCLUDED FUNDING FOR 66 POSITIONS. THE TEAM
19 HIRED 15 NEW POSITIONS, AND WE'RE CURRENTLY AT 60
20 POSITIONS. THERE'S THREE IN THE HIRING PROCESS AND
21 THERE'S THREE ON HOLD PENDING THE HIRE OF THE
22 PRESIDENT. SO WE'VE CONTINUED IMPLEMENTING THE
23 STRATEGIC PLAN WHILE EVALUATING OUR PRIORITIES. AND
24 YOU'VE HEARD A LITTLE BIT ABOUT THAT WITH THE
25 RESEARCH BUDGET.

BETH C. DRAIN, CA CSR NO. 7152

1 WE'VE INCREASED OUTREACH AND EDUCATION AND
2 PARTICIPATION IN COMMUNITY EVENTS, AND WE'VE
3 INCREASED INDUSTRY LEADERSHIP WITH THINGS LIKE THE
4 RARE DISEASE WORKSHOP.

5 SO TO SHOW YOU THE NUMBERS, I HAVE THIS
6 CHART WHICH BREAKS OUT THE ADMINISTRATIVE BUDGET IN
7 SEVEN CATEGORIES: EMPLOYEE EXPENSE, EXTERNAL
8 SERVICES, REVIEWS, MEETINGS, AND WORKSHOPS,
9 MEMBERSHIP AND TRAINING, TRAVEL, OFFICE EXPENSES,
10 AND RENT. SO THE BOARD APPROVED A BUDGET OF \$28.9
11 MILLION FOR US FOR THIS FISCAL YEAR. THAT'S THE
12 SECOND COLUMN.

13 THE THIRD COLUMN IS OUR ESTIMATED FOR
14 WHERE WE WILL FINISH IN A COUPLE DAYS WHEN JUNE
15 ENDS, WHEN OUR FISCAL YEAR ENDS. WE ESTIMATE ENDING
16 THE YEAR AT \$26.2 MILLION. SO I ALWAYS LIKE TO SHOW
17 YOU THE VARIANCE AND TALK ABOUT WHAT CAUSED THAT.

18 OUR VARIANCE IS \$2.6 MILLION THIS YEAR.
19 AND AS YOU CAN SEE, THE MAJOR REASON FOR THAT IS OUR
20 EMPLOYEE EXPENSES. SO CONSISTENT WITH ALL OTHER
21 STATE AGENCIES, OUR EMPLOYEE EXPENSES ARE THE
22 LARGEST PORTION OF OUR BUDGET. AND SO WHEN THERE IS
23 A VACANCY OR WHEN WE'RE RAMPING UP, YOU'RE GOING TO
24 SEE RETURNED FUNDS WHICH GO BACK TO THE PROP 14
25 AVAILABLE FUNDS UNTIL FUTURE YEARS WHERE THEY'RE

BETH C. DRAIN, CA CSR NO. 7152

1 SPENT.

2 SO IN THE NEXT FEW SLIDES, I'M GOING TO
3 FOCUS ON THE TOP THREE AREAS IN TERMS OF DOLLARS
4 WHERE THERE WERE SAVINGS, WHICH INCLUDED EMPLOYEE
5 EXPENSE, REVIEWS, MEETINGS, AND WORKSHOPS, AND
6 TRAVEL.

7 SO WITH REGARDS TO EMPLOYEE EXPENSE, IT
8 WAS REALLY DUE TO THE DELAYS IN HIRING, SOME STAFF
9 TURNOVER, SOME SAVINGS RELATED TO TRANSIT, WHICH WE
10 BUDGET FOR, BUT OUR EMPLOYEES MAY NOT CLAIM, AND
11 THEN SOME SAVINGS RELATED TO BOARD MEMBER PER DIEM
12 THAT WE BUDGET FOR FOR OUR BOARD MEMBERS WHICH THE
13 BOARD MEMBERS MIGHT NOT CLAIM.

14 WE ALSO HAD SOME SAVINGS WITH REGARDS TO
15 REVIEWS, MEETINGS, AND WORKSHOPS, ROUGHLY \$712,000.
16 THIS WAS DUE TO MEETINGS AND WORKSHOPS OCCURRING
17 EITHER AT A LOWER COST BECAUSE OF THE DILIGENT WORK
18 OF OUR STAFF THAT NEGOTIATE GOOD PRICES WITH HOTELS
19 WHERE WE HOLD OUR EVENTS OR ITEMS BEING POSTPONED
20 PENDING THE HIRE OF OUR PRESIDENT AND THE VP OF
21 PATIENT ACCESS. SO WE DIDN'T HAVE LESS REVIEWS THIS
22 YEAR. WE ACTUALLY HAD MORE REVIEWS, BUT WE HAD SOME
23 CONTINGENT FUNDS FOR SOME EVENTS THAT DID NOT TAKE
24 PLACE.

25 LAST CATEGORY WHERE WE HAD LARGE SAVINGS

BETH C. DRAIN, CA CSR NO. 7152

1 WAS TRAVEL. THIS WAS DUE TO ACTUAL COST OF TRAVEL
2 BEING LOWER THAN WHAT WE BUDGETED AND ALSO SOME OF
3 THE VACANCIES THAT RESULTED IN TRAVEL NOT TAKING
4 PLACE AND TRAVEL BEING POSTPONED.

5 SO I WANT TO DELVE A LITTLE BIT DEEPER
6 INTO THE LARGEST DRIVER OF THOSE SAVINGS WHICH IS
7 EMPLOYEE EXPENSE. BASICALLY THE VACANCIES THAT WE
8 HAD AND THE DELAYED START OF THE POSITIONS WE FILLED
9 CONTRIBUTED TO 1.2 MILLION OF THAT VARIANCE. THE
10 PATIENT ADVOCATE BOARD MEMBER PER DIEM WHICH WAS
11 BELOW WHAT WE BUDGETED WAS ROUGHLY A SAVINGS OF
12 312,000, AND THEN THE TRANSIT WAS ABOUT 34,000,
13 MAKING UP THAT TOTAL OF 1.6 MILLION.

14 SO I'M GOING TO MOVE ON TO THE NEXT PHASE
15 OF THE PRESENTATION WHICH IS FISCAL YEAR 24/25'S
16 PROPOSED BUDGET. IN THIS PRESENTATION WE SHOW YOU
17 EXACTLY THE SAME CHART, BUT WE ADD OUR PROPOSED
18 BUDGET FOR 24/25. SAME SEVEN CATEGORIES. AND NOW
19 WE'RE ASKING FOR A BUDGET OF \$31.6 MILLION. SO I
20 WANTED TO POINT OUT THAT THIS IS AN INCREASE OF
21 ROUGHLY \$2 MILLION FROM WHAT WE HAD BEEN APPROVED
22 FOR THIS YEAR, ROUGHLY 2.6 MILLION, BUT WE ADD THIS
23 VARIANCE COLUMN AND COMPARE WHAT WE'RE ASKING FOR IN
24 COMPARISON TO WHAT WE'VE SPENT, NOT WHAT WE ASKED
25 FOR LAST YEAR.

BETH C. DRAIN, CA CSR NO. 7152

1 SO THE VARIANCE IS 5.3 MILLION, AND I'M
2 GOING TO TALK ABOUT WHAT'S DRIVING THAT VARIANCE.
3 AGAIN, DRAWING YOUR ATTENTION TO EMPLOYEE EXPENSES
4 WHICH IS USUALLY THE LARGEST CATEGORY. I WILL TOUCH
5 ON A COUPLE OTHER CATEGORIES TOO THAT ARE LARGER.

6 SO THE EMPLOYEE EXPENSES IS RELATED TO A
7 REQUEST OF A PARTIAL YEAR INCREASE OF 3.75 PERSONNEL
8 YEARS FOR OUR WORKLOAD INCREASES AND SOME OF THE
9 INITIATIVES THAT WE'RE PUTTING INTO PLACE, SOME
10 ADDITIONAL REVIEWS, MEETINGS, AND WORKSHOPS THAT
11 WE'RE PLANNING, RESUMPTION OF TRAVEL TO PRE-PANDEMIC
12 LEVELS, AND THEN SOME INCREASED COSTS AND NEW
13 PURCHASES FOR SOFTWARE THAT WE NEEDED IN THE
14 CATEGORY OF EQUIPMENT AND SUPPLIES.

15 SO GOING A LITTLE BIT DEEP INTO OUR
16 POSITIONS, AGAIN LARGEST PORTION OF OUR BUDGET, AT
17 THE REQUEST OF MADAM VICE CHAIR, WE ADDED THIS CHART
18 TO TALK ABOUT THE CAPS PLACED ON US IN PROP 14.
19 PROP 14 IS AUTHORIZING US TO HAVE A MAXIMUM OF 70
20 POSITIONS FOR OUR REGULAR PROGRAMS AND 15 POSITIONS
21 RELATED TO ACCESS AND AFFORDABILITY FOR A TOTAL OF
22 85 POSITIONS. THIS YEAR'S BUDGET WAS 60 POSITIONS
23 THAT WERE IN THE REGULAR CATEGORY AND SIX WITH
24 REGARDS TO ACCESS AND AFFORDABILITY FOR THAT TOTAL
25 OF 66 POSITIONS THAT I MENTIONED EARLIER.

BETH C. DRAIN, CA CSR NO. 7152

1 OUR REQUEST IS TO ADD FOUR POSITIONS IN
2 THE REGULAR CATEGORY; BUT BECAUSE WE'RE PHASING THEM
3 IN AT THE REQUEST OF THE BOARD NOT FULL FUNDING UP
4 FRONT, BUT PHASING THEM IN THROUGHOUT THE YEAR.
5 WE'RE ASKING FOR A PARTIAL YEAR OF 2.75 POSITIONS.
6 AND THEN WITH REGARDS TO THE ACCESS AND
7 AFFORDABILITY, WE'RE ASKING FOR A FULL POSITION TO
8 JULY 1ST FUNDING FOR A TOTAL OF FIVE NEW POSITIONS,
9 BUT PARTIAL FUNDING NEXT YEAR. SO 3.75 POSITIONS OF
10 PARTIAL FUNDING FOR NEXT YEAR.

11 THAT EQUALS ABOUT A MILLION DOLLARS IN
12 INCREASE FOR OUR NEW POSITIONS. AND INCLUDED IN THE
13 INCREASE WE'RE ASKING IS A MERIT INCREASE OF 3
14 PERCENT AND A COLA INCREASE OF 3 PERCENT FOR OUR
15 EXISTING STAFF AND SOME ADJUSTMENTS LIKE RETIREMENT
16 RATE ADJUSTMENTS AND PROMOTIONS THAT TOOK PLACE FOR
17 A TOTAL OF \$4.7 MILLION FOR OUR PERSONNEL CATEGORY.

18 THAT 4.7 MILLION IS, AGAIN, DIVIDED INTO
19 TWO PARTS, THE SAVINGS FROM THIS YEAR, WHICH WAS 1.6
20 MILLION, AND THE INCREASES THAT WE'RE ASKING FOR
21 NEXT YEAR, SO 3.1 MILLION.

22 SOME OF THE RISK FACTORS THAT WE ENCOUNTER
23 IN ANY FISCAL YEAR, BUT IN THIS NEXT COMING YEAR IS
24 THE CONTINUED RECRUITMENT AND PERSONNEL CHALLENGES
25 WE MIGHT HAVE FILLING OUR POSITIONS AS WE GROW. THE

BETH C. DRAIN, CA CSR NO. 7152

1 INCREASED COST OF GOODS AND SERVICES DUE TO
2 INFLATION WHICH IS OUT OF OUR CONTROL. AND THEN
3 ADJUSTMENTS TO MEETINGS, TRAVEL, AND WORK ACTIVITIES
4 DUE TO THINGS LIKE THE STRATEGIC ALLOCATION
5 FRAMEWORK, WHICH YOU'VE HEARD ABOUT TODAY, AND THE
6 HIRING OF OUR PRESIDENT THAT MAY HAVE SOME NEW IDEAS
7 AND AGENDA FOR OUR BUDGET.

8 SO IN CONCLUSION, I'M REQUESTING THE BOARD
9 TO APPROVE THE FISCAL YEAR 24/25 BUDGET FOR \$31.6
10 MILLION. AND I'M HAPPY TO ANSWER ANY QUESTIONS YOU
11 MIGHT HAVE.

12 CHAIRMAN IMBASCIANI: THANK YOU, POUNEH,
13 FOR A VERY CLEAR PRESENTATION. I'D LIKE TO HAVE A
14 MOTION FROM THE BOARD TO ACCEPT THE ADMINISTRATIVE
15 BUDGET FOR THE NEXT FISCAL YEAR.

16 DR. BARRETT: SO MOVED.

17 DR. MELTZER: SECOND.

18 CHAIRMAN IMBASCIANI: SOUNDS LIKE WE HAVE
19 A MOVEMENT AND SECOND. VIOLENT AGREEMENT. ANY
20 QUESTIONS, COMMENTS FROM THE BOARD DIRECTED AT
21 POUNEH? FRED, YOU'RE FIRST.

22 DR. FISHER: HI, POUNEH. IT'S GREAT TO
23 PUT A FACE TO THE NAME AND THANK YOU FOR YOUR WORK.

24 I'M WONDERING IF THE 3 PERCENT FOR COLA
25 AND THE 3 PERCENT FOR MERIT INCREASES IS SUFFICIENT

BETH C. DRAIN, CA CSR NO. 7152

1 TO KEEP PACE WITH SALARIES IN CALIFORNIA. ARE THE
2 POSITIONS THAT YOU'RE SEEKING PART OF A RETENTION
3 STRATEGY FOR EMPLOYEES YOU MAY WANT TO RETAIN IN A
4 COMPETITIVE MARKET?

5 MS. SIMPSON: THANK YOU FOR THAT QUESTION.
6 I WILL DEFER TO MY COLLEAGUE, MR. AGUIRRE-SACASA.

7 MR. AGUIRRE-SACASA: IF I HEARD YOUR
8 QUESTION CORRECTLY, FRED, IT'S WHETHER THE 3 FOR
9 COLA AND THE 3 PERCENT MERIT IS SUFFICIENT TO RETAIN
10 OUR EMPLOYEES. WE FEEL THAT THIS IS -- WE DO. THE
11 HR TEAM, LET ME BE CLEAR, DOES AN ANALYSIS OF THE
12 INFLATION FOR THE SAN FRANCISCO BAY AREA. SO WE
13 THINK THAT 3 PERCENT IS ADEQUATE FOR THE COLA
14 PORTION THEREOF, AND THAT 3 PERCENT IS TRADITIONALLY
15 WHAT WE DO FOR MERIT INCREASES. AGAIN, WE LOOK AT
16 THAT REGULARLY, BUT WE ALSO DO THAT THINK COMBINED
17 WITH THE COLA THAT THE 6 PERCENT PROPOSED FOR ALL
18 OUR EMPLOYEES WOULD BE GOOD FOR RETENTION PURPOSES
19 THIS YEAR. AGAIN, I'M SURE THEY WOULD ALL WANT
20 MORE, BUT WE THINK THIS IS APPROPRIATE AS A STEWARD
21 OF CALIFORNIA.

22 CHAIRMAN IMBASCIANI: THANK YOU, RAFAEL.
23 THAT'S GREAT. ANY OTHER QUESTIONS FOR POUNEH ON THE
24 ADMINISTRATIVE BUDGET FROM MEMBERS OF THE BOARD?
25 IF NOT, I'D BE HAPPY TO OPEN IT UP TO ANY COMMENTS

BETH C. DRAIN, CA CSR NO. 7152

1 FROM THE PUBLIC.

2 MR. TOCHER: DON'T SEE ANY.

3 CHAIRMAN IMBASCIANI: WE DO NOT SEE ANY.

4 THEN, SCOTT, I THINK YOU'RE FREE TO CALL.

5 MR. TOCHER: ALL RIGHT. ALL THOSE IN THE
6 ROOM IN FAVOR SAY AYE. THOSE OPPOSED SAY NAY. ANY
7 ABSTENTIONS? AND I'LL ROLL CALL THE MEMBERS ON THE
8 ZOOM.

9 MOHAMED ABOUSALEM.

10 DR. ABOUSALEM: YES.

11 MR. TOCHER: JUDY CHOU.

12 DR. CHOU: YES.

13 MR. TOCHER: MONICA CARSON.

14 DR. CARSON: YES.

15 MR. TOCHER: LEONDRA CLARK-HARVEY.

16 DR. CLARK-HARVEY: YES.

17 MR. TOCHER: SHLOMO MELMED. IS LEON FINE
18 ON? I'LL COME BACK. MARK FISCHER-COLBRIE.

19 MR. FISCHER-COLBRIE: YES.

20 MR. TOCHER: FRED FISHER.

21 DR. FISHER: YES.

22 MR. TOCHER: RICH LAJARA.

23 MR. LAJARA: YES.

24 MR. TOCHER: LINDA MALKAS.

25 DR. MALKAS: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. TOCHER: CHRIS MIASKOWSKI.

2 DR. MIASKOWSKI: YES.

3 MR. TOCHER: ADRIANA PADILLA.

4 DR. PADILLA: YES.

5 MR. TOCHER: JOE PANETTA.

6 MR. PANETTA: YES.

7 MR. TOCHER: MARV SOUTHARD.

8 DR. SOUTHARD: YES.

9 MR. TOCHER: MICHAEL STAMOS.

10 DR. STAMOS: YES.

11 MR. TOCHER: KEVIN XU.

12 DR. XU: YES.

13 CHAIRMAN IMBASCIANI: THANK YOU. AND
14 THANK YOU AGAIN, POUNEH, FOR THE GREAT PRESENTATION.
15 THANK YOU, BOARD MEMBERS. WE'RE GOING TO LOOK NOW
16 AT AGENDA NO. 10. AND I'M GOING TO ASK DR. SAMBRANO
17 TO COME BACK TO THE PODIUM. THIS IS GOING TO BE THE
18 APPLICATION REVIEW SUBCOMMITTEE PART OF OUR BOARD
19 MEETING.

20 DR. SAMBRANO: THANK YOU. GOOD AFTERNOON,
21 EVERYONE. WE CAN GO AHEAD? SO I'M GOING TO PRESENT
22 TO YOU THE RECOMMENDATIONS FROM THE GRANTS WORKING
23 GROUP FOR THE LATEST ROUND OF CLINICAL REVIEWS. AS
24 ALWAYS, WE START OUT WITH OUR MISSION. YOU'VE SEEN
25 IT AGAIN, BUT I ALWAYS EMPHASIZE THAT THIS IS

BETH C. DRAIN, CA CSR NO. 7152

1 SOMETHING WE ALSO SHOW THE GRANTS WORKING GROUP TO
2 MAKE SURE THEY ALSO ARE ON THE SAME PAGE IN TERMS OF
3 WHAT WE'RE DOING WITH ALL OF OUR PROGRAMS AND WHAT
4 WE HOPE TO ACHIEVE.

5 THIS IS A QUICK REMINDER OF THE BUDGET.
6 JEN ACTUALLY JUST SHOWED THIS TO YOU. THE ANNUAL
7 BUDGET ALLOCATION WAS 252 MILLION. WITH THE AMOUNT
8 REQUESTED TODAY OF 26.4, THIS WILL ALMOST EXHAUST
9 THE BUDGET. IT WOULD LEAVE 14.8 REMAINING FOR THE
10 YEAR.

11 THE SCORING OF THE APPLICATIONS IS ON A
12 SYSTEM OF 1, 2, OR 3. A SCORE OF 1 MEANS THE
13 APPLICATION HAS EXCEPTIONAL MERIT AND WARRANTS
14 FUNDING. A SCORE OF 2 FOR THOSE APPLICATIONS, THEY
15 RECEIVE COMMENTS FROM THE REVIEW AND ARE ALLOWED TO
16 REVISE AND RESUBMIT VERY QUICKLY. A SCORE OF 3
17 MEANS THAT IT'S SUFFICIENTLY FLAWED THAT IT DOESN'T
18 WARRANT FUNDING. THOSE CANNOT COME BACK FOR SIX
19 MONTHS.

20 THE REVIEW CRITERIA THAT ARE UTILIZED BY
21 THE GRANTS WORKING GROUP FOR MAKING THESE
22 ASSESSMENTS ARE BASED ON THESE FIVE QUESTIONS. DOES
23 THE PROJECT HOLD THE NECESSARY SIGNIFICANCE AND
24 POTENTIAL FOR IMPACT? IS THE RATIONALE SOUND? IS
25 IT WELL PLANNED AND DESIGNED? IS IT FEASIBLE

BETH C. DRAIN, CA CSR NO. 7152

1 INCLUDING WHETHER THEY HAVE AN APPROPRIATE TEAM AND
2 RESOURCES TO CARRY IT OUT? AND LASTLY, DOES THE
3 PROJECT UPHOLD THE PRINCIPLES OF DIVERSITY, EQUITY,
4 AND INCLUSION?

5 WE ALSO FOR THE CLINICAL PROGRAM HAVE A
6 SECOND SCORE THAT'S ASSIGNED BY THE PATIENT ADVOCATE
7 AND NURSE MEMBERS OF THE BOARD WHO ALSO SERVE ON THE
8 GRANTS WORKING GROUP. THEY PROVIDE A SCORE THAT IS
9 BETWEEN ZERO AND TEN, WITH TEN BEING THE BEST
10 POSSIBLE SCORE, AND THEY UTILIZE A RUBRIC, WHICH IS
11 SHOWN THERE, NOT INTENDED FOR YOU TO READ
12 SPECIFICALLY. IT IS AVAILABLE IF YOU WANT TO SEE
13 IT. BUT THEY UTILIZE A RUBRIC TO GUIDE THEIR
14 SCORING.

15 THE COMPOSITION OF THE WORKING GROUP
16 INCLUDES SCIENTIFIC MEMBERS WHO PROVIDE THE
17 SCIENTIFIC EVALUATION. THEY HAVE DIVERSE
18 BACKGROUNDS IN TERMS OF DISEASE AREA EXPERTISE,
19 REGULATORY, CMC, PRODUCT DEVELOPMENT, AND SO ON, AS
20 NEEDED FOR EACH APPLICATION. THEY PROVIDE A
21 SCIENTIFIC SCORE ON ALL THE APPLICATIONS.

22 OUR BOARD MEMBERS PROVIDE THE EVALUATION
23 ON THE DEI, PATIENT PERSPECTIVE ON THE SIGNIFICANCE
24 AND POTENTIAL IMPACT, AS WELL AS OVERSIGHT ON THE
25 PROCESS. SO THEY PROVIDE A DEI SCORE AND MAY

BETH C. DRAIN, CA CSR NO. 7152

1 SUGGEST A SCIENTIFIC SCORE TO THE PANEL. WE ALSO
2 HAVE SCIENTIFIC SPECIALISTS WHO ARE NONVOTING
3 MEMBERS WHICH ADD ADDITIONAL EXPERTISE AS NEEDED.

4 SO FOR THE FIRST APPLICATION, WE'RE GONG
5 TO TAKE THESE ONE AT A TIME. THIS FIRST APPLICATION
6 HAS A FEW CONFLICTS AS SHOWN ON THE SCREEN. JUST IF
7 YOU HAVE A NOTED CONFLICT, JUST PLEASE DON'T
8 PARTICIPATE IN THE DISCUSSION OR VOTING.

9 THE FIRST APPLICATION, CLIN1-14792, IS
10 ENTITLED "SUPERIOR FORWARD-ORIENTED BETA GLOBIN
11 VECTOR FOR TREATING SICKLE CELL DISEASE." THIS IS A
12 GENE-MODIFIED STEM CELL THERAPY FOR SEVERE SICKLE
13 CELL DISEASE. THEIR GOAL IS TO COMPLETE
14 IND-ENABLING STUDIES AND FILE AN IND. THE AMOUNT
15 THAT THEY REQUEST IS 4.6 MILLION.

16 LITTLE BACKGROUND ON THIS PROJECT. SICKLE
17 CELL DISEASE, AS MANY OF YOU KNOW, AFFECTS
18 APPROXIMATELY 100,000 AMERICANS. IT IS PRIMARILY
19 COMMON AMONG THOSE WITH SUB-SAHARAN AFRICAN
20 ANCESTRY, AFFECTING ABOUT ONE IN 365
21 AFRICAN-AMERICANS BIRTHS. GLOBALLY THERE ARE OVER
22 300,000 CHILDREN BORN WITH SICKLE CELL DISEASE EACH
23 YEAR.

24 SO THE VALUE PROPOSITION OF THIS PROJECT
25 IS TO BRING A THERAPY TO BEAR. ALTHOUGH THERE ARE

BETH C. DRAIN, CA CSR NO. 7152

1 SOME SIMILAR GENE EDITING APPROACHES THAT HAVE
2 ADVANCED TO NOW FDA APPROVAL, THE PROPOSED THERAPY
3 OFFERS TO ADDRESS THE ONGOING CHALLENGE OF
4 AFFORDABILITY AND ACCESSIBILITY TO THESE. SO THEY
5 EXPECT THAT THIS WILL BE A MORE AFFORDABLE THERAPY
6 AS WELL AS A POTENTIALLY MORE EFFECTIVE PRODUCT FOR
7 TREATING PATIENTS.

8 WHY IS THIS A STEM CELL OR GENE THERAPY
9 PROJECT? THIS THERAPY INVOLVES GENETIC MODIFICATION
10 OF BLOOD STEM CELLS. AND THAT'S WHY IT QUALIFIES
11 FOR CIRM FUNDING.

12 IN TERMS OF OUR PORTFOLIO OF SIMILAR
13 PROJECTS THAT ARE CURRENTLY ACTIVE, YOU MAY ALL
14 RECALL THAT WE HAVE SUPPORTED SICKLE CELL DISEASE
15 PROJECTS FOR SEVERAL YEARS. THESE TWO THAT ARE
16 LISTED HAPPEN TO BE THE ONES THAT ARE CURRENTLY
17 STILL ACTIVE. THESE ARE TWO CLINICAL TRIAL PROJECTS
18 THAT HAVE DIFFERENT APPROACHES THAT ARE BEING
19 DEVELOPED. ONE IS AN AUTOLOGOUS GENE-MODIFIED CD34
20 CELLS. THE OTHER IS A CRISPR-EDITED HSC APPROACH.
21 BOTH A LITTLE BIT DIFFERENT FROM THE CURRENT
22 PROPOSAL.

23 THE APPLICANT HAS HAD SOME HISTORY WITH
24 CIRM FUNDING. SO LISTED ARE THREE DIFFERENT
25 PROJECTS THAT REPRESENT SOME OF THE PROJECTS THAT

BETH C. DRAIN, CA CSR NO. 7152

1 THE PI HAS HEADED. ONE IS A CLIN2 CLINICAL TRIAL
2 PROJECT FOR HIV/AIDS, AND THE OTHERS ARE ALPHA
3 CLINICS PROGRAMS THAT THEY HAVE HEADED AND
4 SUPPORTED. FOR ALL THE PROJECTS, THE MILESTONES
5 HAVE ALL BEEN COMPLETED EARLY OR ON TIME.

6 AND SO THE SUMMARY OF THE RECOMMENDATION
7 FOR THIS PROJECT IS AS SHOWN. THE GRANTS WORKING
8 GROUP HAD 12 VOTES FOR A SCORE OF 1, NO VOTES FOR A
9 SCORE OF 2, THREE VOTES FOR A SCORE OF 3. THE DEI
10 SCORE WAS A 9, AND THE CIRM TEAM RECOMMENDATION IS
11 IN CONCURRENCE WITH THE GWG TO FUND THIS APPLICATION
12 FOR 4.6 MILLION.

13 CHAIRMAN IMBASCIANI: THANK YOU. I WOULD
14 NEED A MOTION TO APPROVE THE RECOMMENDATION.

15 DR. FISHER: SO MOVED.

16 CHAIRMAN IMBASCIANI: WE HAVE FRED FISHER
17 MOTIONING.

18 DR. MELTZER: SECOND.

19 MR. TOCHER: NO. NO. IT NEEDS TO BE A
20 MEMBER OF THE ARS.

21 CHAIRMAN IMBASCIANI: THAT'S RIGHT. THANK
22 YOU.

23 DR. HIGGINS: SO MOVED.

24 MR. TOCHER: A SECOND ACTUALLY.

25 DR. HIGGINS: SECOND.

BETH C. DRAIN, CA CSR NO. 7152

1 CHAIRMAN IMBASCIANI: THANK YOU.

2 DISCUSSION, MEMBERS? YES.

3 DR. DULIEGE: SO THIS IS A REALLY
4 COMPLICATED SITUATION, I THINK. FOR ONE THING, THE
5 SCIENTIFIC MERIT SPEAKS BY ITSELF, AND WE SHOULD
6 ACTUALLY NORMALLY APPROVE AND RESPECT THE PROCESS.
7 I'VE BEEN THE ONE ALWAYS TRYING TO SUPPORT.

8 THERE ARE TWO THINGS THAT COME TO MIND
9 HOWEVER. ONE IS THAT, AS WE ARE SEEING PER THE
10 DISCUSSION WE HAD EARLIER, WE'RE GOING TO COME MORE
11 AND MORE OFTEN IN THE SITUATION WHERE OUR BUDGET IS
12 AT A CAP AND WE'LL HAVE TO MAKE CHOICES AMONG
13 EXCELLENT GRANTS WITH EXCELLENT SCIENTIFIC MERIT.
14 THE DISCUSSION WILL COME AT SOME POINT ABOUT ULTRA
15 RARE DISEASE WOULD BE ANOTHER EXAMPLE WE'LL HAVE TO
16 MAKE A CHOICE. AND IT'S FAIR TO SAY THAT IN SICKLE
17 CELL WE HAVE INVESTED A LOT AND OTHER GROUPS HAVE
18 INVESTED A LOT, LIKE THE NIH.

19 AND THE OTHER THING YOU MENTIONED IS THAT
20 THIS THERAPY IS SHOWN TO BE MORE EFFECTIVE THAN
21 OTHERS, EXISTING THERAPY, WHICH IS IMPORTANT IN
22 CONSIDERATION, AND ALSO MORE AFFORDABLE. AND I
23 WOULD LIKE TO HAVE AN IDEA OF WHAT WE MEAN BY MORE
24 AFFORDABLE BECAUSE ALL OF THESE ARE GOING TO BE
25 SUPER EXPENSIVE, COPAYMENTS TO NO END. AND REDUCING

BETH C. DRAIN, CA CSR NO. 7152

1 THE AFFORDABILITY BY 20 PERCENT IS STILL NOT GOING
2 TO MAKE IT VERY AFFORDABLE ANYWAY.

3 SO I'LL STOP MY COMMENTS. ONE IS AS A
4 BOARD DO WE HAVE TO SHAPE A LITTLE BIT HOW WE KEEP
5 MONEY FOR PROJECTS THAT HAVE NOT BEEN FUNDED AS MUCH
6 AS SICKLE CELL? QUESTION NO. 1. NO. 2 IS SHOULD WE
7 BE INFLUENCED BY MORE AFFORDABLE AND MORE EFFECTIVE?
8 I DON'T HAVE A REAL ANSWER TO THAT, BY THE WAY.
9 IT'S COMPLICATED. SO I'D RATHER SHARE MY THOUGHT
10 PROCESS. THANK YOU.

11 CHAIRMAN IMBASCIANI: COMMENTS FROM BOARD
12 MEMBERS?

13 MR. TOCHER: JUDY CHOU ON THE PHONE.

14 CHAIRMAN IMBASCIANI: JUDY.

15 DR. CHOU: I WANT TO ECHO ANNE-MARIE'S
16 COMMENT. THIS MAY BE ACTUALLY MORE BROADLY
17 APPLICABLE TO ALL THE APPLICATIONS AS WE'RE MOVING
18 FORWARD. SO I DON'T KNOW IS IT FAIR NOW. IF IT'S
19 NOT, STOP. I THINK NOW THE LANDSCAPING WILL BECOME
20 EVEN MORE IMPORTANT AS WE TRY TO BRING THE IMPACT OF
21 CIRM TO THE NEXT LEVEL. MEANING TRULY ADDRESS THE
22 MEDICAL NEED AND NOT JUST BEING ONE OF THE
23 ADDITIONAL CHOICE OR OPTION IN THE CROWDED SPACE.

24 SO FOR THAT I ALSO STRUGGLE A LITTLE BIT
25 ABOUT TO MOVE FORWARD. DEFINITELY I ECHO ANNE-MARIE

BETH C. DRAIN, CA CSR NO. 7152

1 JUST SAY. SCIENTIFICAL THERE'S NO DOUBT. IT LOOK
2 LIKE THAT WILL BE A GOOD EXPLORATION, BUT THE VALUE
3 IT DOES BRING IN, AGAIN, THIS IS NOT SOMETHING TODAY
4 GWG HAS TO TAKE INTO FACTOR. SO I TOTALLY, FOR
5 MYSELF, NOT YET TO PROMOTE TO GET IT APPROVED, BUT
6 WANT TO FURTHER EMPHASIZE THE POINT ABOUT AS WE'RE
7 MOVING FORWARD, WE NEED TO PRIORITIZE FURTHER AND
8 TRULY LOOKING AT THE IMPACT TO PATIENT AND WE NEED
9 TO THINK ABOUT THAT.

10 CHAIRMAN IMBASCIANI: THANK YOU, JUDY. I
11 DON'T SEE ANY OTHER BOARD MEMBERS RAISING THEIR
12 HAND. ANNE-MARIE, YES, COME BACK.

13 DR. DULIEGE: SORRY. LET ME JUST ADD THAT
14 IF THE BOARD DECIDES TO OVER TIME SHAPE A LITTLE BIT
15 HOW WE ARE PROVIDING MONEY, IT SHOULD BE DONE
16 PROSPECTIVELY WITH AN INTENT IN MIND, NOT BECAUSE
17 SUDDENLY AT THIS MEETING MYSELF OR SOMEONE ELSE
18 SAID, OH, AND BY THE WAY, WE WANT TO PUT SO MUCH
19 MONEY INTO ONE INDICATION BECAUSE IT WOULD BE VERY
20 UNFAIR TO THE APPLICATION THAT HAVE BEEN REVIEWED
21 AND ASSIGNED TO MOVE OR NOT BY THE GRANT WORKING
22 GROUP. SO I'M NOT ADVOCATING THAT WE EMBARK INTO A
23 DISCUSSION NOW ON SICKLE CELL, BUT MAYBE THAT WE USE
24 THAT EXAMPLE AS A WAY TO BE INTENTIONAL IN WHETHER
25 THE MONEY WE STILL HAVE LEFT AND OUR MISSION REQUIRE

BETH C. DRAIN, CA CSR NO. 7152

1 A SLIGHTLY DIFFERENT WAY OF CONSIDERING SOME
2 APPLICATIONS MOVING FORWARD.

3 I WILL VOTE YES FOR THIS ONE JUST FOR THE
4 SAKE OF SAYING IT'S NOT TIMELY TO BAR AN APPLICATION
5 JUST BECAUSE WE HAVE THOUGHTS ABOUT THE FUTURE.

6 CHAIRMAN IMBASCIANI: THANK YOU,
7 ANNE-MARIE. KIM BARRETT.

8 DR. BARRETT: I JUST WANT TO SECOND
9 ANNE-MARIE'S REBUTTAL OF HER OWN QUESTION. WE CAN'T
10 CHANGE THE GOALPOSTS IN THE MIDDLE OF IT. PEOPLE
11 APPLY IN GOOD FAITH WITH AN UNDERSTANDING OF THE
12 PROGRAM AS IT EXISTS AT THE TIME THAT THEY APPLY.
13 AND IT IS A GRAVE DANGER TO OUR CREDIBILITY.

14 DR. DULIEGE: I'VE ALWAYS BEEN SUPPORTIVE.
15 I WANT TO BE ON RECORD SAYING I'VE ALWAYS SUPPORTED
16 THE PROCESS AND THE GWG RECOMMENDATIONS. I DON'T
17 THINK I'VE GONE ON RECORD OTHERWISE.

18 CHAIRMAN IMBASCIANI: FRED IS NEXT.

19 DR. FISHER: IT'S MY UNDERSTANDING THAT
20 THE IMPORTANT QUESTIONS THAT ARE BEING ASKED NOW
21 WILL, AT LEAST TO SOME EXTENT, BE ANSWERED AS PART
22 OF THIS STRATEGIC REALLOCATION PROCESS. IF I GOT
23 THE NAME OF THAT PROCESS WRONG, J.T. WILL CORRECT
24 ME. THESE QUESTIONS ARE EXACTLY THE QUESTIONS THAT
25 THAT PROCESS IS, AGAIN, INTENDED TO ANSWER.

BETH C. DRAIN, CA CSR NO. 7152

1 CHAIRMAN IMBASCIANI: THANK YOU.

2 DR. THOMAS: YES, FRED, THAT'S ENTIRELY
3 CORRECT.

4 DR. FISHER: COOL.

5 CHAIRMAN IMBASCIANI: SO PUBLIC COMMENT
6 BEFORE WE PROCEED TO A VOTE? I'M SORRY. I DIDN'T
7 SEE THAT.

8 MR. JUELSGAARD: ONE MORE. SO, RATHER,
9 APART FROM WHAT YOU JUST SAID, J.T., GIL IS GOING TO
10 PRESENT SORT OF AN INTERIM APPROACH ON HOW TO KIND
11 OF NARROW LOOKING AT PROJECTS BEFORE WE GET TO THAT.
12 AND ONE OF THE THINGS THAT'S IN THERE IS LOOKING AT
13 UNMET MEDICAL NEED, WHICH I THINK IS GOING TO BE
14 IMPORTANT. WHEN YOU LOOK AT SICKLE CELL DISEASE,
15 THERE ARE THREE APPROVED TREATMENTS ALREADY ON THE
16 MARKET. THERE ARE PROBABLY AT LEAST TEN DIFFERENT
17 THERAPEUTIC MODALITIES THAT ARE IN CLINICAL TRIALS
18 RIGHT NOW. THIS IS AN IND-ENABLING PROJECT. SO ITS
19 WAY, WAY, WAY BACK IN THE CONTINUUM. AND WHETHER
20 ULTIMATELY IT WILL EVER SEE THE LIGHT OF DAY IS A
21 BIG, BIG QUESTION.

22 HOPEFULLY WE'LL START TAKING INTO ACCOUNT
23 THE MILIEU IN WHICH THESE PROJECTS ARE OPERATING
24 WHEN WE MAKE THESE DECISIONS ON MORE LIMITED
25 RESOURCES. JUST A COMMENT.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. DULIEGE: I DON'T WANT TO BELABOR THE
2 TOO MUCH. BUT, GIL, IN SOME WAY I THINK WE SHOULD
3 NOT NECESSARILY SAY THIS IS POTENTIALLY MORE
4 PROMISING AND MORE AFFORDABLE. WE DO NOT KNOW.
5 PRE-IND, MORE PROMISING, YOU BET.

6 BUT TO THAT POINT, J.T., DID I MISS
7 SOMETHING, OR IS THERE A TIME WHERE WE'RE GOING TO
8 LOOK AT RESHAPING THE ALLOCATION OF BUDGET BASED ON
9 STRATEGIC CONSIDERATION? IS THERE A TIMELINE FOR
10 THIS? MAYBE I MISSED THAT.

11 DR. CANET-AVILES: THERE IS A TIME. IN
12 FACT, WE WILL BE PROVIDING AN UPDATE WITH THE
13 STRATEGIC ALLOCATION FRAMEWORK AND THE SPECIFICS OF
14 WHEN THIS IS GOING TO BE HAPPENING. SO WE WILL BE
15 ANSWERING ALL THESE QUESTIONS. YOU ARE JUST
16 SPEAKING TO WHAT WE'VE BEEN DISCUSSING, THE
17 LANDSCAPE ANALYSIS AND ALL THE DATA THAT WE ARE
18 GOING TO PROVIDE TO THE BOARD FOR DISCUSSION.

19 DR. DULIEGE: THANK YOU.

20 DR. BARRETT: I'D LIKE POINT OUT ALSO THAT
21 THE REVIEWERS OF THE PROPOSALS DO TAKE THIS INTO
22 ACCOUNT BECAUSE THEY'RE ASKED TO ASSESS THE
23 PROPOSALS ON THEIR IMPACT. SO THEY ARE LOOKING AT
24 THEM. AND THESE ARE EXPERTS IN THE FIELD. SO IT'S
25 NOT THAT THESE THINGS ARE NOT CONSIDERED IN THE

BETH C. DRAIN, CA CSR NO. 7152

1 REVIEW PROCESS.

2 CHAIRMAN IMBASCIANI: OKAY. ONCE AGAIN,
3 NOT SEEING ANY MORE HANDS HERE IN THIS ROOM OR
4 ONLINE, I THINK WE CAN CALL THE QUESTION.

5 MR. TOCHER: THE MOTION IS TO FUND
6 CLIN1-14792.

7 MARIA BONNEVILLE.

8 VICE CHAIR BONNEVILLE: YES.

9 MR. TOCHER: JUDY CHOU.

10 DR. CHOU: YES.

11 MR. TOCHER: LEONDRA CLARK-HARVEY.

12 DR. CLARK-HARVEY: YES.

13 MR. TOCHER: ANNE-MARIE DULIEGE.

14 DR. DULIEGE: YES.

15 MR. TOCHER: MARK FISCHER-COLBRIE.

16 MR. FISCHER-COLBRIE: YES.

17 MR. TOCHER: FRED FISHER.

18 DR. FISHER: YES.

19 MR. TOCHER: DAVID HIGGINS.

20 DR. HIGGINS: YES.

21 MR. TOCHER: VITO IMBASCIANI.

22 CHAIRMAN IMBASCIANI: YES.

23 MR. TOCHER: STEVE JUELSGAARD.

24 MR. JUELSGAARD: YES.

25 MR. TOCHER: RICH LAJARA.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. LAJARA: YES.
2 MR. TOCHER: ADRIANA PADILLA.
3 DR. PADILLA: YES.
4 MR. TOCHER: JOE PANETTA.
5 MR. PANETTA: YES.
6 MR. TOCHER: MARVIN SOUTHARD.
7 DR. SOUTHARD: YES.
8 MR. TOCHER: AND KEVIN XU.
9 DR. XU: YES.
10 MR. TOCHER: GREAT. THAT MOTION CARRIES.
11 THANK YOU.
12 CHAIRMAN IMBASCIANI: THANK YOU.
13 DR. SAMBRANO: NEXT ONE. CAN WE SHOW THE
14 SLIDES PLEASE? THANK YOU.
15 THE NEXT APPLICATION IS ENTITLED
16 "DEVELOPMENT OF GENE THERAPY FOR TREATMENT OF
17 WWOX-RELATED EPILEPTIC ENCEPHALOPATHY OR WOREE."
18 THE THERAPY IS A GENE THERAPY ADENOVIRUS BASED. THE
19 INDICATION IS PATIENTS THAT HAVE THIS EPILEPTIC
20 ENCEPHALOPATHY. THE GOAL IS TO COMPLETE
21 IND-ENABLING STUDIES, SO THIS IS A CLIN1 AGAIN, AND
22 ULTIMATELY FILE AN IND. THE FUNDS REQUESTED IS 4
23 MILLION, AND THEY HAVE CO-FUNDING OF 1 MILLION. 20
24 PERCENT IS REQUIRED FOR THIS APPLICANT.
25 SO THIS WWOX-RELATED EPILEPTIC

BETH C. DRAIN, CA CSR NO. 7152

1 ENCEPHALOPATHY OR WOREE SYNDROME IS AN ULTRA RARE
2 DISEASE THAT RESULTS IN SEVERE SEIZURES, SIGNIFICANT
3 DEVELOPMENTAL DELAYS. IT CAUSES FREQUENT
4 RESPIRATORY INFECTIONS AND OTHER COMPLICATIONS. AND
5 IT MANIFESTS VERY EARLY IN LIFE WITH A MEAN ONSET
6 AGE OF 1.6 MONTHS.

7 THE VALUE PROPOSITION FOR THIS PROJECT IS
8 THAT THE PROPOSED THERAPY HAS THE POTENTIAL TO
9 RESTORE THE PRODUCTION AND FUNCTION OF THIS MISSING
10 GENE IN ORDER TO SIGNIFICANTLY REDUCE THE BURDEN ON
11 THOSE THAT ARE AFFECTED. THIS TREATMENT IS A GENE
12 THERAPY THAT'S ADENOVIRUS BASED, AND IT QUALIFIES AS
13 GENE THERAPY FOR CIRM.

14 THERE ARE NO SIMILAR PORTFOLIO PROJECTS
15 THAT WE HAVE THAT ADDRESS THIS SPECIFIC DISEASE. WE
16 DO HAVE SEVERAL THAT TAKE THE GENE THERAPY APPROACH
17 IN GENERAL TO OTHER RARE DISEASES, BUT NOT THIS
18 SPECIFIC ONE. THIS PARTICULAR APPLICANT HAS NOT
19 PREVIOUSLY RECEIVED A CIRM AWARD.

20 AND THIS IS THE SUMMARY OF THE GRANTS
21 WORKING GROUP ASSESSMENT. IN TERMS OF THE SCORE,
22 THERE WERE 12 MEMBERS THAT SCORED THIS A 1. THERE
23 WAS ONE PERSON THAT SCORED IT A 2 AND TWO THAT
24 SCORED IT A 3. THE DEI SCORE IS AN 8, AND THE CIRM
25 TEAM RECOMMENDATION IS TO FUND FOR THE AMOUNT OF 4

BETH C. DRAIN, CA CSR NO. 7152

1 MILLION.

2 CHAIRMAN IMBASCIANI: ONCE AGAIN, I'D LIKE
3 TO HAVE A MOTION FROM A MEMBER OF THE ARS.

4 DR. FISHER: SO MOVED.

5 DR. SOUTHARD: SECOND.

6 CHAIRMAN IMBASCIANI: AND MARV SOUTHARD
7 SECONDED. THANK YOU. SO DISCUSSION FROM BOARD
8 MEMBERS. FRED, YOU'RE FIRST.

9 DR. FISHER: GIL, SO ON THIS 25 PERCENT OF
10 THE GWG MEMBERS SAYING COME BACK AGAIN OR WE WANT TO
11 SEE YOU AGAIN, CAN YOU GIVE US SOME CONTEXT FOR WHAT
12 THOSE CONCERNS WERE?

13 DR. SAMBRANO: YES, ABSOLUTELY, FRED. SO
14 FOR THIS PARTICULAR ONE, THERE WERE A COUPLE OF
15 CONCERNS. SO THIS IS A RARE DISEASE. ONE OF THE
16 CONCERNS WAS WHETHER THERE WAS ULTIMATELY GOING TO
17 BE A COMMERCIALIZATION PATH FOR THIS THERAPY. SO
18 ONE THING IS TO SHOW THAT IT IS EFFICACIOUS, BUT
19 THEN ULTIMATELY ARE PATIENTS GOING TO BE ABLE TO
20 HAVE IT. SO I THINK THERE WAS SOME CONCERN FROM
21 SOME OF THE REVIEWERS WHETHER ULTIMATELY THIS WOULD
22 HAVE A COMMERCIAL PATHWAY. THAT IS TRUE FOR MANY
23 RARE DISEASES. SO IT'S NOT JUST ABOUT THIS ONE.

24 THE OTHER IS THAT THIS HAD A MANUFACTURING
25 PLAN CHANGE. THIS APPLICATION, AS THE OTHERS, THESE

BETH C. DRAIN, CA CSR NO. 7152

1 ARE ALL RESUBMISSIONS, SO THEY HAVE BEEN REVISED.
2 IN THEIR REVISION THEY MADE SOME SIGNIFICANT CHANGES
3 TO THEIR MANUFACTURING PROPOSAL. SOME OF THE
4 REVIEWERS WOULD HAVE LIKED TO HAVE SEEN MORE DETAIL
5 ON THAT IN ORDER TO GET A BETTER HANDLE ON WHETHER
6 THEY WERE MOVING IN THE RIGHT DIRECTION. I THINK
7 GENERALLY THEY WERE CONFIDENT THEY WOULD PROBABLY BE
8 ABLE TO DO IT, BUT VOICED THE DESIRE TO SEE IT.

9 SO THAT'S SOME OF THE REASON FOR THE
10 REVIEWER NEGATIVE VIEWPOINTS.

11 DR. FISHER: THANK YOU. THAT'S HELPFUL.
12 MY MOTION STANDS.

13 CHAIRMAN IMBASCIANI: ANY FURTHER BOARD
14 COMMENT? SEEING NONE, OPEN IT TO THE PUBLIC. ANY
15 HANDS? NO HANDS SEEN. SCOTT, YOU MAY PROCEED.

16 MR. TOCHER: MOTION IS TO FUND
17 CLIN1-14825.

18 MARIA BONNEVILLE.

19 VICE CHAIR BONNEVILLE: YES.

20 MR. TOCHER: JUDY CHOU.

21 DR. CHOU: YES.

22 MR. TOCHER: LEONDRA CLARK-HARVEY.

23 DR. CLARK-HARVEY: YES.

24 MR. TOCHER: ANNE-MARIE DULIEGE.

25 DR. DULIEGE: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. TOCHER: YSABEL DURON.
2 MS. DURON: YES.
3 MR. TOCHER: MARK FISCHER-COLBRIE.
4 MR. FISCHER-COLBRIE: YES.
5 MR. TOCHER: FRED FISHER.
6 DR. FISHER: YES.
7 MR. TOCHER: DAVID HIGGINS.
8 DR. HIGGINS: YES.
9 MR. TOCHER: VITO IMBASCIANI.
10 CHAIRMAN IMBASCIANI: YES.
11 MR. TOCHER: STEVE JUELSGAARD.
12 MR. JUELSGAARD: YES.
13 MR. TOCHER: RICH LAJARA.
14 MR. LAJARA: YES.
15 MR. TOCHER: CHRIS MIASKOWSKI.
16 DR. MIASKOWSKI: YES.
17 MR. TOCHER: ADRIANA PADILLA.
18 DR. PADILLA: YES.
19 MR. TOCHER: JOE PANETTA.
20 MR. PANETTA: YES.
21 MR. TOCHER: MARVIN SOUTHARD.
22 DR. SOUTHARD: YES.
23 MR. TOCHER: KEVIN XU.
24 DR. XU: YES.
25 MR. TOCHER: THANK YOU VERY MUCH. THE

BETH C. DRAIN, CA CSR NO. 7152

1 MOTION CARRIES.

2 CHAIRMAN IMBASCIANI: GREAT. THANK YOU
3 VERY MUCH. I THINK, GIL, WE CAN MOVE TO NO. 3.

4 DR. SAMBRANO: THANK YOU. SO THE NEXT
5 APPLICATION HAS A COUPLE OF CONFLICTS TO BE NOTED.

6 THIS IS CLIN2-15218. THE TITLE IS "A
7 PHASE 2 STUDY EVALUATING THE EFFICACY AND SAFETY OF
8 I.V. ADMINISTERED AAV GENE THERAPY IN MALE PATIENTS
9 WITH DANON DISEASE." SO THIS IS, AGAIN, AN
10 ADENOVIRUS GENE THERAPY APPROACH FOR PATIENTS WITH
11 DANON'S DISEASE.

12 THE GOAL IS TO COMPLETE A PHASE 2 CLINICAL
13 TRIAL. THE AMOUNT REQUESTED IS 5.8 MILLION. AND
14 CO-FUNDING IS PROVIDED IN THE LARGE AMOUNT. THIS IS
15 A PHASE 2 TRIAL AND IT'S AN OUT-OF-STATE COMPANY.
16 SO A LOT OF THE EFFORT IS HAPPENING OUTSIDE THE
17 STATE, AND THIS WOULD COVER THE PORTIONS THAT HAPPEN
18 IN STATE.

19 SO FOR BACKGROUND, DANON DISEASE IS A RARE
20 X-LINKED DISORDER THAT PRIMARILY AFFECTS THE HEART,
21 SKELETAL MUSCLE, AND BRAIN, AND RESULTS IN SOME
22 LIMITED COGNITIVE IMPAIRMENT. THERE ARE NO CURATIVE
23 TREATMENTS THAT ARE CURRENTLY AVAILABLE, WITH THE
24 MOST DEFINITIVE OPTION BEING OPEN HEART
25 TRANSPLANTATION. THE VALUE PROPOSITION IS THAT THIS

BETH C. DRAIN, CA CSR NO. 7152

1 PROPOSED GENE THERAPY WOULD RESTORE THE EXPRESSION
2 OF THE MISSING LAMP2B GENE THAT WOULD RELIEVE
3 PATIENTS OF THEIR SYMPTOMS AND THE NEED FOR HEART
4 TRANSPLANTATION. AND THE APPLICANTS HOPE THAT THE
5 APPROACH MAY OFFER THE POSSIBILITY OF A CURE IF IT'S
6 ADEQUATELY EFFECTIVE. THE TREATMENT IS A GENE
7 THERAPY APPROACH AND WHY IT QUALIFIES FOR CIRM
8 FUNDING.

9 OTHER PROJECTS IN OUR PORTFOLIO THAT ARE
10 SIMILAR, WE HAVE A TRAN1 GRANT HAS BEEN GIVEN FOR
11 THE SAME INDICATION, DANON DISEASE. THIS IS AN
12 APPROACH OF AUTOLOGOUS GENE-MODIFIED BLOOD STEM
13 CELLS, SO IT'S DIFFERENT THAN THIS ONE, BUT STILL
14 WITH THE GOAL OF TRYING TO REPLACE THE MISSING
15 LAMP2B GENE IN PATIENTS.

16 THE APPLICANT HAS RECEIVED A COUPLE OF
17 OTHER CIRM GRANTS THAT ARE CLIN2S, CLINICAL PHASE 1
18 CLINICAL TRIAL STAGE WITH MILESTONES THAT HAVE BEEN
19 COMPLETED, SOME WITH DELAYS, BUT GENERALLY PERFORMED
20 AS EXPECTED.

21 SO THE SUMMARY OF THE REVIEW, THE GRANTS
22 WORKING GROUP HAD 12 MEMBERS THAT SCORED THIS A 1.
23 THERE WAS ONE MEMBER THAT SCORED IT A 2 AND ONE THAT
24 SCORED IT A 3. THE DEI SCORE IS A MEDIAN OF 8, AND
25 THE CIRM TEAM RECOMMENDS THE FUNDING OF THIS

BETH C. DRAIN, CA CSR NO. 7152

1 APPLICATION FOR 5.8 MILLION.

2 CHAIRMAN IMBASCIANI: THANK YOU, GIL. CAN
3 I HAVE A MOTION TO DISCUSS FROM THE MEMBERS OF THE
4 COMMITTEE?

5 DR. HIGGINS: SO MOVED.

6 DR. FISHER: SECOND.

7 CHAIRMAN IMBASCIANI: MOTION TO APPROVE.
8 THANK YOU. LET'S SEE. I DON'T SEE ANY -- THANK
9 YOU. IN THE ROOM.

10 DR. DULIEGE: GIL, CAN YOU TELL US HOW
11 MANY CHILDREN ARE BORN EVERY YEAR WITH THIS
12 CONDITION, ROUGHLY, JUST ROUGHLY, EITHER IN
13 CALIFORNIA OR IN THE U.S.? BECAUSE IT'S A RARE
14 DISEASE, IT WOULD BE GOOD TO HAVE A ROUGH IDEA.

15 DR. SAMBRANO: IT'S A RARE DISEASE. I
16 CAN'T TELL HOW MANY ARE BORN, BUT IT'S ABOUT ONE IN
17 A MILLION IS THE ESTIMATE. SO I THINK ONE OF THE
18 ESTIMATES I SAW, OUT OF THE CHILDREN BORN WITH
19 HYPERTROPHIC CARDIOMYOPATHY, THAT ABOUT TWO OR THREE
20 OUT OF A THOUSAND OF THOSE HAVE DANON DISEASE.

21 DR. DULIEGE: SO IT'S AN ULTRA RARE
22 DISEASE ESSENTIALLY.

23 DR. SAMBRANO: YES.

24 DR. LEVITT: SO IN BOTH CASES THERE WERE,
25 OF PREVIOUS FUNDING, THERE WERE NOTED DELAYS. YOU

BETH C. DRAIN, CA CSR NO. 7152

1 COMMENTED ON THE FIRST ONE WHERE THERE WERE DELAYS,
2 BUT THEY ESSENTIALLY COMPLETED WHAT THEY WERE
3 EXPECTED TO COMPLETE. I'M NOT SURE WHAT THAT MEANS.

4 AND THEN IN THE SECOND ONE, THEY HAD FIVE
5 MILESTONES, ONE WAS NOT COMPLETED, WHICH IS 20
6 PERCENT. NOT A SMALL MISSED TARGET OF THE GOAL THAT
7 THEY HAD. SO WHAT WAS THE THOUGHT OF THE TEAM ABOUT
8 THIS BECAUSE IT'S NOT AS IF THEY'VE HAD NONE. WE
9 DON'T HAVE THE TRACK RECORD OR ONE. MAYBE THERE
10 WERE PROBLEMS WITH ONE, BUT NOW THERE ARE TWO
11 PROBLEMS WITH BOTH. AND SO MAYBE YOU CAN COMMENT ON
12 THAT.

13 DR. SAMBRANO: YEAH. SO JUST BRIEFLY, IN
14 TERMS OF THE MILESTONES, ONE OF THE THINGS THAT IS
15 HARD TO PRESENT IN THIS FORMAT IS THE REASON WHY
16 THEY MAY HAVE HAD A DELAY IN A MILESTONE OR WHY THEY
17 MAY NOT HAVE ACHIEVED THEM. A LOT OF TIMES IT'S NOT
18 BECAUSE OF THEIR -- THEY WEREN'T CAPABLE OR FAILED
19 TO DO SOMETHING, BUT SOMETIMES IT'S CIRCUMSTANTIAL.
20 COVID, FOR EXAMPLE, WAS A BIG REASON WHY WE OBSERVED
21 MANY DELAYS IN MILESTONES AMONG MANY OF OUR AWARDS.
22 AND IN SOME CASES NOT COMPLETING ONE IS EITHER
23 BECAUSE IT WAS NOT AN AIM THAT THEY NEEDED TO
24 COMPLETE IN ORDER TO ACHIEVE THE GOAL OF THE
25 PROJECT.

BETH C. DRAIN, CA CSR NO. 7152

1 IN THIS PARTICULAR CASE, WE DIDN'T SEE
2 ANYTHING THAT WAS SIGNIFICANT, BUT I WOULD LEAVE IT
3 TO MY COLLEAGUES, DR. ABLA CREASEY AND JEN, WHETHER
4 YOU HAVE ANY COMMENTS OR SPECIFIC DETAILS ON THIS
5 ONE.

6 DR. LEVITT: JUST ONE OTHER FOLLOW-UP
7 RELATED TO THE ONE IN A MILLION. SO I ASSUME THE
8 APPLICATION WAS CONVINCING IN TERMS OF THEM BEING
9 ABLE TO IDENTIFY AND RECRUIT AND CONSENT --

10 DR. SAMBRANO: YES.

11 DR. LEVITT: -- THE PATIENT POPULATION.

12 DR. SAMBRANO: YES.

13 DR. LEVITT: THIS IS TO FUND THOSE THAT
14 ARE IN THE STATE OF CALIFORNIA.

15 DR. SAMBRANO: CORRECT. THE FUNDS THAT
16 WOULD COME OUT OF THE CIRM GRANT WOULD BE TO FUND
17 ACTIVITIES THAT ARE IN CALIFORNIA AND PATIENTS THAT
18 ARE RECRUITED IN CALIFORNIA. THERE IS A SIGNIFICANT
19 AMOUNT FOR ALL THE OTHER TRIAL SITES THAT THEY HAVE
20 THAT INCLUDE EUROPE AND OTHER PARTS OF THE UNITED
21 STATES.

22 DR. LEVITT: SO HOW MANY BIRTHS ARE THERE
23 IN CALIFORNIA A YEAR, NEW BIRTHS, ARE THERE IN
24 CALIFORNIA A YEAR?

25 DR. SAMBRANO: I DON'T KNOW.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. LEVITT: IT'S A FEW HUNDRED THOUSAND
2 MAYBE, NOT EVEN. IT'S NOT A HUGE NUMBER. SO I'M
3 JUST EXPRESSING MY WORRY ABOUT, ONE, THEIR TRACK
4 RECORD AND, TWO, ONE IN A MILLION, AND THIS IS
5 FOCUSED -- THIS HAS TO BE FOCUSED ON SOLELY IN
6 CALIFORNIA. I DIDN'T REVIEW THE GRANT, BUT I'M JUST
7 MAKING SURE THAT THE TEAM FEELS THAT THEY'RE GOING
8 TO BE ABLE TO ACTUALLY SECURE MORE THAN ONE PATIENT
9 IN THIS PERIOD OF TIME. AND IT'S NOT A SMALL AMOUNT
10 OF MONEY. SO THAT'S MY WORRY.

11 DR. CREASEY: OUR EXPERIENCE WITH THIS
12 GROUP IS THAT THIS SECOND GRANT IS NOT THAT OLD.
13 IT'S A RECENT GRANT, AND THAT'S WHY THEY DIDN'T
14 ACHIEVE THE MILESTONES YET. WHILE THE FIRST GRANT,
15 AGAIN, IT'S DUE TO AVAILABILITY OF THE PATIENTS AND
16 MOVING THEM. SOME OF THEM COME TO CALIFORNIA FOR
17 TREATMENT. BUT THE GROUP HAS A GOOD TRACK RECORD.
18 AND SO FOR THAT REASON, WE AGREE WITH WHAT THE GWG
19 RECOMMENDED.

20 DR. DULIEGE: PAT, OBVIOUSLY YOU CAN GUESS
21 I SHARE YOUR CONCERNS ABOUT THE ULTRA RARE DISEASE.
22 AND I MENTIONED THIS IN THE PAST, BUT THAT'S FOR
23 ANOTHER TIME WHERE THIS WILL COME AS STRATEGIC
24 RECOMMENDATIONS FROM THE TEAM.

25 SO I UNDERSTAND THAT ONE OF THE MILESTONES

BETH C. DRAIN, CA CSR NO. 7152

1 WAS NOT MET, NOT BECAUSE IT FAILED. IT'S BECAUSE IT
2 WAS NOT YET TIMELY TO MEET IT.

3 AND THEN, FINALLY, FOR ULTRA RARE DISEASE,
4 AS WE ALL KNOW, IT IS IMPOSSIBLE TO CONDUCT A
5 DEVELOPMENT PROGRAM ON TIME. IT'S JUST TOTALLY
6 IMPOSSIBLE. LET'S NOT EVEN TRY TO GET THROUGH. SO
7 IT REALLY COMES TO THE STRATEGY QUESTION THAT WE
8 WILL REVIEW IN DUE TIME. BUT YET I SHARE YOUR SENSE
9 OF DISCOMFORT ABOUT ALL THIS.

10 DR. MELTZER: I ASSUME THE WHOLE BOARD CAN
11 COMMENT. I HAD SIMILAR CONCERN. I WAS JUST TRYING
12 TO LOOK UP THIS DISEASE. IT SEEMS LIKE PREVALENCE
13 ISN'T EVEN KNOWN, AND IT'S LESS THAN A MILLION, ONE
14 IN A MILLION. SO I WAS WONDERING HOW YOU ASSESS
15 WHETHER THE RECRUITMENT IS GOING TO BE POSSIBLE IN
16 SOME OF THESE ULTRA RARE DISEASES. JUST ECHOING
17 PAT'S DISCOMFORT.

18 CHAIRMAN IMBASCIANI: ANNE-MARIE.

19 DR. DULIEGE: RAISE MY HAND. GIL, I'M
20 SORRY. I SHOULD KNOW. CAN YOU REMIND US? THIS
21 PARTICULAR GRANT IS FOR A PHASE 2 TRIAL.

22 DR. SAMBRANO: CORRECT.

23 DR. DULIEGE: HOW MANY PATIENTS TO BE
24 ENROLLED?

25 DR. SAMBRANO: SO THIS IS ABOUT TEN

BETH C. DRAIN, CA CSR NO. 7152

1 PATIENTS.

2 DR. DULIEGE: TEN PATIENTS.

3 DR. SAMBRANO: AND THEY'RE DOING ALSO A
4 NATURAL HISTORY STUDY OF 40 PATIENTS.

5 DR. DULIEGE: AND THE EXPECTED DURATION OF
6 THE TRIAL, MEANING TO ENROLL TEN PATIENTS AND TRY
7 THE GENE THERAPY IS ROUGHLY?

8 DR. SAMBRANO: WELL, THE GRANT IS FOUR
9 YEARS. SO THEIR TIMELINE WITHIN THIS PROJECT IS
10 FOUR YEARS. I DON'T KNOW IF YOU HAVE ANY ADDITIONAL
11 DETAIL ON THAT.

12 DR. DULIEGE: THE ONLY WAY THEY COULD
13 ENROLL THIS TRIAL EVEN ROUGHLY ON TIME IS BECAUSE
14 THEY'RE MAKING SOME PATIENTS FLY FROM OUTSIDE
15 CALIFORNIA TO CALIFORNIA.

16 DR. SAMBRANO: SORRY. SO ALL OF THE
17 PATIENTS ARE NOT NECESSARILY IN CALIFORNIA. SO IT'S
18 ONLY A SUBSET OF THE TEN THAT ARE IN CALIFORNIA.

19 DR. DULIEGE: THAT WOULD MAKE SENSE.
20 THANK YOU.

21 DR. CREASEY: IF I CAN JUST COMMENT ON THE
22 FACT THAT WHEN IT COMES TO RARE GENETIC DISEASES,
23 PER THE FDA APPROVAL, YOU CAN CONDUCT A TRIAL WITH
24 ONLY NINE PATIENTS, EIGHT PATIENTS, TEN PATIENTS.
25 IF YOU DO A NATURAL HISTORY STUDY OR A REGISTRY AS

BETH C. DRAIN, CA CSR NO. 7152

1 WELL AS GENE THERAPY, IT'S EITHER A CURE OR NOT.
2 AND SO FOR THAT REASON, IT ENDS UP BEING A
3 SUCCESSFUL STUDY.

4 SO THIS PARTICULAR GROUP IS ACTUALLY
5 GETTING AN APPROVAL EXPECTED AT THE END THIS MONTH
6 FOR THEIR FIRST PRODUCT, AND WE, CIRM, FUNDED THAT
7 PROGRAM. SO AS A RESULT OF THAT, I PERSONALLY FEEL
8 THAT THIS IS -- WHAT THEY'RE DOING IS SOMETHING THAT
9 WE HAVE BEEN KIND OF ALL ALONG PART OF OUR STRATEGIC
10 PLAN TO FUND GRANTS THAT NO ONE ELSE FUNDS WITH
11 UNMET MEDICAL NEED. AND THAT'S WHAT THEY'VE BEEN
12 DOING.

13 DR. DULIEGE: SO FOR THE FIRST GRANT FOR
14 WHICH THEY WILL GET AN APPROVAL APPARENTLY, THAT'S A
15 TOTALLY DIFFERENT DISEASE?

16 DR. CREASEY: CORRECT.

17 DR. DULIEGE: OTHER ULTRA RARE DISEASE.

18 DR. CREASEY: CORRECT.

19 DR. DULIEGE: SO YOU'RE MAKING TWO VERY
20 GOOD POINTS. ONE IS IS THAT ALSO THE ROLE OF CIRM
21 TO FUND A GRANT THAT WILL HARDLY EVER BE FUNDED
22 MAYBE OTHER THAN NORD OR SOMETHING LIKE THAT, NO. 1.

23 AND NO. 2, TO REMIND ALL OF US, WHICH I
24 KNEW, BUT YOU EXPRESSED IT SO WELL, THAT THE
25 APPROVAL PROCESS FOR THIS SITUATION IS TOTALLY

BETH C. DRAIN, CA CSR NO. 7152

1 DIFFERENT FROM ANYTHING ELSE.

2 DR. CREASEY: CORRECT.

3 DR. DULIEGE: AND IT COULD BE ON FIVE
4 PATIENTS POTENTIALLY. THANK YOU. VERY IMPORTANT.

5 DR. CREASEY: AS LONG AS THEY HAVE THE
6 RIGHT CONTROLS, THEY HAVE THE MANUFACTURING
7 CONSISTENT AND UNDER CONTROL, AND OBVIOUSLY THAT
8 THERE ARE CURES WITH AN ACCEPTABLE DURATION.

9 MR. JUELSGAARD: JUST ONE QUICK QUESTION
10 FOR GIL. SO THE 5.8 MILLION IS EXPECTED TO TREAT
11 HOW MANY PATIENTS IN CALIFORNIA?

12 DR. SAMBRANO: I DON'T KNOW. I CAN LOOK
13 THAT UP FOR YOU, BUT IT'S A SMALL FRAC- -- I THINK
14 WE'RE TALKING TWO OR THREE.

15 MR. JUELSGAARD: YES. SO THERE'S A
16 DENOMINATOR.

17 DR. SAMBRANO: YES.

18 MR. JUELSGAARD: AND SO THE NUMERATOR
19 THEN, LET'S ASSUME IT WAS ONLY ONE PATIENT THAT THEY
20 WERE ABLE TO RECRUIT, WE WOULD THEN LOWER THE AMOUNT
21 PAID TO THEM. SO IT WOULD BE ONE OVER WHATEVER THE
22 DENOMINATOR IS.

23 DR. SAMBRANO: SO WE'RE NOT GOING TO COVER
24 COSTS THAT AREN'T EXPENDED. SO WE ISSUE -- WE'RE
25 GOING TO ISSUE IT BASED ON MILESTONES. AND OFTEN

BETH C. DRAIN, CA CSR NO. 7152

1 THE MILESTONES ARE BASED ON THE PATIENTS THAT ARE
2 ENROLLED INTO THE TRIAL.

3 MR. JUELSGAARD: OKAY. GOOD.

4 CHAIRMAN IMBASCIANI: I DON'T SEE ANYONE
5 ELSE IN THE ROOM. SORRY.

6 DR. ALMASRI: JUST TO ANSWER YOUR
7 QUESTION, ACCORDING TO THE CALIFORNIA DEPARTMENT OF
8 HEALTH, WE HAVE 450 TO 500,000 LIVE BIRTHS A YEAR IN
9 CALIFORNIA. SO WE'RE EXPECTED, ASSUMING THAT THE
10 RATE, THE PREVALENCE, IS ONE IN A MILLION, ONE EVERY
11 TWO YEARS. SO FOUR YEARS YOU'RE EXPECTED TO HAVE
12 TWO PATIENTS WHO WERE BORN IN CALIFORNIA. SO THIS
13 IS -- UNLESS IF WE HAVE HIGHER PREVALENCE IN
14 CALIFORNIA SINCE WE HAVE MORE DIVERSE POPULATION, I
15 DON'T KNOW IF THIS DISEASE HAS HIGHER PREVALENCE IN
16 CERTAIN ETHNIC GROUPS.

17 DR. SAMBRANO: I THINK THE OTHER POINT IS
18 THAT SOME OF THE SITES, EVEN IF THEY'RE IN
19 CALIFORNIA, RECRUIT FROM BEYOND CALIFORNIA. SO SOME
20 OF THE PATIENTS COULD BE COMING FROM SOMEWHERE ELSE.

21 DR. CREASEY: AS A MATTER OF FACT, THAT
22 HAPPENS FREQUENTLY. THE PATIENTS ARE FLOWN TO
23 CALIFORNIA FOR TREATMENT IN OUR SELECTED SITES THAT
24 HAVE BEEN PART OF THE ALPHA CLINICS OR SUCH THAT WE
25 WORK WITH.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. CHOU: CAN I ASK A QUESTION? THE MORE
2 WE DISCUSS, THE MORE I'M CONFUSED. THE MONEY WE ARE
3 AWARDING, IS THAT BASED ON THE AFTER ENROLLMENT,
4 AFTER THE PATIENT GOT INTO THE TRIAL, THEN WE'RE
5 STARTING TO GIVE THE GRANT, OR WE JUST FUND THIS
6 CALIFORNIA SITE TO START WITH?

7 DR. SAMBRANO: SO WE FUND THE APPLICANT,
8 WHICH IS THE COMPANY THAT HAS SEVERAL SITES
9 INCLUDING ONE, POSSIBLY TWO IN CALIFORNIA. AND THE
10 FUNDING IS FOR THE ACTIVITIES THAT ARE SPECIFICALLY
11 CONDUCTED IN CALIFORNIA OR DIRECTLY RELATED TO THE
12 RECRUITMENT OF THE CALIFORNIA OR TREATMENT OF THE
13 CALIFORNIA PATIENT.

14 DR. CHOU: MOST OF THE CLINICAL STUDY, THE
15 SPENDING STARTED AS SOON AS YOU ACTIVATE THE SITE.
16 SO I DON'T SEE THIS WILL BE THEN WE CANNOT FUND THE
17 STUDY WHEN THEY HAVE NO SUCCESS OF ENROLLMENT. SO I
18 THINK IT'S LESS. IT'S LESS ABOUT THE INCIDENCE OF
19 THIS DISEASE. IT'S MORE ABOUT HOW LIKELY THEY WILL
20 HAVE THE SUCCESS OF RECRUITING OR ENROLLING
21 PATIENTS.

22 SO I FEEL THE DISCUSSION GETTING A LITTLE
23 BIT TOWARDS NOT NECESSARILY HOW THE CLINICAL
24 SPENDING HAS BEEN SPENT. AS SOON AS YOU ACTIVATE
25 THE SITE, THE MONEY KICK IN, COUPLE MILLION JUST

BETH C. DRAIN, CA CSR NO. 7152

1 GONE BECAUSE OF THAT NOT -- WHETHER YOU GOT PATIENT
2 OR NOT. JUST WANT TO MAKE SURE WE DON'T DISCUSS
3 THIS OUT OF THE --

4 DR. SAMBRANO: JUST FOR CLARITY. SO THE
5 PAYMENTS ARE BASED ON MILESTONES. AND WHAT THOSE
6 MILESTONES ARE ARE OFTEN BASED ON THE ENROLLMENT.
7 AND SO WE ISSUE AN INITIAL AMOUNT THAT THEY
8 OBVIOUSLY CAN SPEND IN ORDER TO ACHIEVE ACTIVITIES.
9 SOME OF THE EXPENDITURES MAY BE RELATED TO
10 MANUFACTURING OR OTHERS THAT ARE NOT DIRECTLY
11 RELATED TO PATIENT ACTIVITIES. SO THE AMOUNT OF
12 FUNDS THAT GO OUT THE DOOR AND ULTIMATELY WHEN WE
13 GET TO A DECISION THAT THEY CAN'T SUCCEED AND,
14 THEREFORE, MAY HAVE TO TERMINATE WILL VARY. BUT
15 YOU'RE RIGHT. IT'S NOT AS IF WE WOULD NOT ISSUE ANY
16 OF THE FUNDS THAT ARE SHOWN THERE.

17 DR. CHOU: THANK YOU FOR THAT
18 CLARIFICATION.

19 CHAIRMAN IMBASCIANI: OKAY. NO OTHER
20 COMMENTS IN THE ROOM. PERHAPS SOMETHING FROM THE
21 PUBLIC. IF NOT, WE CAN TAKE A VOTE THEN.

22 MR. TOCHER: THE MOTION IS TO FUND
23 CLIN2-15218.

24 MR. TOCHER: JUDY CHOU.

25 DR. CHOU: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. TOCHER: LEONDRA CLARK-HARVEY.
2 DR. CLARK-HARVEY: YES.
3 MR. TOCHER: ANNE-MARIE DULIEGE.
4 DR. DULIEGE: YES.
5 MR. TOCHER: YSABEL DURON.
6 MS. DURON: YES.
7 MR. TOCHER: MARK FISCHER-COLBRIE.
8 MR. FISCHER-COLBRIE: YES.
9 MR. TOCHER: FRED FISHER.
10 DR. FISHER: YES.
11 MR. TOCHER: DAVID HIGGINS.
12 DR. HIGGINS: YES.
13 MR. TOCHER: VITO IMBASCIANI.
14 CHAIRMAN IMBASCIANI: YES.
15 MR. TOCHER: LAJARA.
16 MR. LAJARA: YES.
17 MR. TOCHER: CHRIS MIASKOWSKI.
18 DR. MIASKOWSKI: YES.
19 MR. TOCHER: ADRIANA PADILLA.
20 DR. PADILLA: YES.
21 MR. TOCHER: JOE PANETTA.
22 MR. PANETTA: YES.
23 MR. TOCHER: MARVIN SOUTHARD.
24 DR. SOUTHARD: YES.
25 MR. TOCHER: KEVIN XU.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. XU: YES.

2 MR. TOCHER: GREAT. THE MOTION CARRIES.

3 THANK YOU.

4 CHAIRMAN IMBASCIANI: GOOD. WE CAN NOW
5 PROCEED, GIL, TO THE FOURTH APPLICATION.

6 DR. SAMBRANO: THANK YOU. FOR THIS
7 APPLICATION WE HAVE ONE NOTED CONFLICT.

8 SO THIS IS CLIN2-16156. THE TITLE IS
9 "SELECTIVE OFF-THE-SHELF LOGIC GATED CAR NK CELL
10 THERAPY TARGETING CD33 AND/OR FLT3 EXPRESSING
11 HEMATOLOGIC MALIGNANCIES." SO THIS IS A CAR THERAPY
12 ON NATURAL KILL CELLS. THE INDICATION IS FOR
13 HEMATOLOGIC MALIGNANCIES THAT INCLUDE ACUTE MYELOID
14 LEUKEMIA AND MYELOYDYSPLASTIC SYNDROMES. THE GOAL IS
15 TO COMPLETE A PHASE 1 CLINICAL TRIAL. AND THE FUNDS
16 REQUESTED IS 8 MILLION. THE CO-FUNDING IS 4.8
17 MILLION, 30 PERCENT, AS REQUIRED.

18 THE BACKGROUND INFORMATION ON THIS, ACUTE
19 MYELOID LEUKEMIA OR AML AND MDS ARE TYPES OF BLOOD
20 CANCER, AND THEY AFFECT ABOUT 20,000 AMERICANS EACH
21 YEAR. THE FIVE-YEAR SURVIVAL RATE IS ABOUT 32
22 PERCENT WITH CURRENT TREATMENTS. PATIENTS WITH
23 RECURRING OR RELAPSING AML UNDERGO VARIOUS
24 CHEMOTHERAPY APPROACHES OR CLINICAL TRIAL TREATMENTS
25 BECAUSE THEY OFTEN PARTICIPATE IN CLINICAL TRIALS IN

BETH C. DRAIN, CA CSR NO. 7152

1 ORDER TO -- AS PART OF THEIR TREATMENT PLAN WITH
2 MEDIAN SURVIVAL OF ONLY THREE TO SIX MONTHS.

3 SO THE VALUE PROPOSITION OF THIS PROJECT,
4 GIVEN THE LIMITED EFFECTIVE THERAPEUTIC OPTIONS,
5 ADDITIONAL APPROACHES ARE NEEDED. AND SO THIS NK
6 THERAPY USES A TARGETED APPROACH THAT IS POTENTIALLY
7 MORE DURABLE AND EFFECTIVE. IT HAS SOME SPECIFICITY
8 FOR THE CANCER CELLS WHILE ATTEMPTING TO PROTECT THE
9 NONCANCEROUS CELLS. THE THERAPY MAY, IN FACT,
10 DOUBLE THE MEDIAN LIFE EXPECTANCY FOR PATIENTS THAT
11 HAVE RECURRING AND RELAPSING AML.

12 WHY THIS IS A PROJECT THAT QUALIFIES, THIS
13 IS A GENE MODIFICATION OF NATURAL KILLER CELLS, SO
14 QUALIFIES AS A GENETIC THERAPY.

15 SIMILAR PROJECTS IN THE CIRM PORTFOLIO,
16 THERE ARE SEVERAL THAT ADDRESS CANCER, MANY THAT
17 ALSO ARE FOCUSED ON LEUKEMIA OR AML. THERE ARE NONE
18 THAT ARE EXACTLY THIS CAR NK APPROACH, BUT WE DO
19 HAVE A CAR-T CELL APPROACH, A VACCINE AND A SMALL
20 MOLECULE, THAT ARE AIMED AT TREATING AML. AND ALL
21 OF THESE AT VARIOUS STAGES OF CLINICAL DEVELOPMENT.

22 THIS PARTICULAR APPLICANT HAS NOT
23 PREVIOUSLY RECEIVED A CIRM AWARD. AND SO THE
24 RECOMMENDATION FROM THE GRANTS WORKING GROUP HERE IS
25 WE HAD 12 MEMBERS THAT SCORED THIS A 1 AND NO

BETH C. DRAIN, CA CSR NO. 7152

1 MEMBERS THAT SCORED IT A 2 OR A 3. THE DEI SCORE IS
2 9.5, AND THE CIRM TEAM RECOMMENDATION IS TO FUND FOR
3 THE AMOUNT OF 8 MILLION.

4 CHAIRMAN IMBASCIANI: THANK YOU, GIL. I
5 WOULD LIKE TO HAVE A MOTION TO FUND THIS
6 APPLICATION.

7 DR. SOUTHARD: SO MOVED.

8 CHAIRMAN IMBASCIANI: THANK YOU, MARVIN.

9 DR. DULIEGE: SECOND.

10 CHAIRMAN IMBASCIANI: ANNE-MARIE SECONDS.
11 THANK YOU. COMMENTS FIRST FROM BOARD MEMBERS. OR
12 FROM THE GENERAL PUBLIC. WE'RE NOT SEEING ANY THEN.
13 SCOTT, PLEASE THEN. THANK YOU.

14 MR. TOCHER: THE MOTION IS TO FUND
15 CLIN2-16156.

16 MARIA BONNEVILLE.

17 VICE CHAIR BONNEVILLE: YES.

18 MR. TOCHER: JUDY CHOU.

19 DR. CHOU: YES.

20 MR. TOCHER: LEONDRA CLARK-HARVEY.

21 DR. CLARK-HARVEY: YES.

22 MR. TOCHER: ANNE-MARIE DULIEGE.

23 DR. DULIEGE: YES.

24 MR. TOCHER: YSABEL DURON.

25 MS. DURON: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. TOCHER: MARK FISCHER-COLBRIE.
2 MR. FISCHER-COLBRIE: YES.
3 MR. TOCHER: FRED FISHER.
4 DR. FISHER: YES.
5 MR. TOCHER: DAVID HIGGINS.
6 DR. HIGGINS: YES.
7 MR. TOCHER: VITO IMBASCIANI.
8 CHAIRMAN IMBASCIANI: YES.
9 MR. TOCHER: STEVE JUELSGAARD.
10 MR. JUELSGAARD: YES.
11 MR. TOCHER: RICH LAJARA.
12 MR. LAJARA: YES.
13 MR. TOCHER: CHRIS MIASKOWSKI.
14 DR. MIASKOWSKI: YES.
15 MR. TOCHER: ADRIANA PADILLA.
16 DR. PADILLA: YES.
17 MR. TOCHER: JOE PANETTA. JOE, I DON'T
18 HEAR YOU. WE'LL COME BACK.
19 MARVIN SOUTHARD.
20 DR. SOUTHARD: YES.
21 MR. TOCHER: KEVIN XU.
22 DR. XU: YES.
23 MR. TOCHER: LAST CALL FOR JOE.
24 APPRECIATE YOUR TIME, JOE. HE HAS LEFT THE
25 BUILDING. GREAT. THE MOTION CARRIES.

BETH C. DRAIN, CA CSR NO. 7152

1 CHAIRMAN IMBASCIANI: GREAT. THANK YOU.
2 I THINK WE CAN NOW PROCEED, GIL, TO THE FINAL OF THE
3 APPLICATIONS.

4 DR. SAMBRANO: SO THIS ONE HAS, AGAIN, TWO
5 CONFLICTS AS NOTED. THIS IS CLIN1-16244. THE TITLE
6 IS "NOVEL GENE THERAPY TARGETING MULTIPLE
7 PATHOLOGICAL DRIVERS OF DESMOPLAKIN ASSOCIATED
8 ARRHYTHMOGENIC CARDIOMYOPATHY." THIS ALSO IS AN
9 ADENOVIRUS-BASED GENE THERAPY. THIS INDICATION IS
10 FOR PATIENTS WITH DESMOPLAKIN-RELATED ARRHYTHMOGENIC
11 CARDIOMYOPATHY. THE GOAL IS TO COMPLETE AN
12 IND-ENABLING STUDY AND FILE AN IND.

13 SO THIS IS A CLIN1, AND THE FUNDS
14 REQUESTED ARE 4 MILLION. CO-FUNDING OFFERED IS 11.3
15 OR SO. 20 PERCENT IS REQUIRED.

16 THE DESMOPLAKIN-ASSOCIATED ARRHYTHMOGENIC
17 CARDIOMYOPATHY IS A RARE GENETIC HEART CONDITION
18 THAT TYPICALLY MANIFESTS IN YOUNG ADULTS. THIS
19 CONDITION RESULTS IN A HIGH RISK OF LIFE THREATENING
20 VENTRICULAR ARRHYTHMIAS, SUDDEN CARDIAC DEATH, AND
21 PROGRESSION TO HEART FAILURE. THERE ARE NO CURRENT
22 DISEASE-MODIFYING THERAPIES FOR THE CONDITION AND,
23 THEREFORE, THE PROPOSED THERAPY ADDRESSES AN UNMET
24 NEED.

25 THE IDEA HERE IS THAT THE ADENOVIRUS GENE

BETH C. DRAIN, CA CSR NO. 7152

1 THERAPY WOULD INDUCE LIVER EXPRESSION OF FGF21 THAT
2 WOULD CIRCULATE TO THE HEART AND RESTORE FUNCTION IN
3 THE HEART CELLS. SO THIS IS SORT OF A DOWNSTREAM
4 APPROACH RATHER THAN DIRECTLY MODIFYING THE AFFECTED
5 GENE. THE GENE IS VERY LARGE FOR THE DESMOSOMAL
6 PROTEINS, AND SO THIS IS A DIFFERENT STRATEGY TO GET
7 AROUND THAT CHALLENGE. THIS TREATMENT IS AN
8 ADENOVIRUS GENE THERAPY, AND THAT'S WHY IT QUALIFIES
9 FOR CIRM FUNDING.

10 CIRM DOES NOT CURRENTLY HAVE ANY ACTIVE
11 TRAN OR CLIN AWARDS THAT ADDRESS THIS SPECIFIC
12 DISEASE INDICATION. AND THIS APPLICANT HAS NOT
13 PREVIOUSLY RECEIVED A CIRM AWARD.

14 THE GRANTS WORKING GROUP SCORING, THERE
15 WERE 14 MEMBERS THAT SCORED THIS A 1. THERE WERE NO
16 MEMBERS THAT SCORED IT A 2 OR A 3. THE DEI SCORE IS
17 A 9, AND THE CIRM RECOMMENDS FUNDING THIS PROJECT
18 FOR THE AMOUNT OF 4 MILLION.

19 CHAIRMAN IMBASCIANI: EXCELLENT. THANK
20 YOU, GIL. ONE LAST MOTION TO FUND THIS APPLICATION
21 FROM A MEMBER OF THE SUBCOMMITTEE.

22 DR. SOUTHARD: MARV SOUTHARD MOVES.

23 DR. CLARK-HARVEY: LEONDRAS SECOND.

24 CHAIRMAN IMBASCIANI: OKAY. DISCUSSION ON
25 THIS APPLICATION FROM BOARD MEMBERS? I DON'T SEE

BETH C. DRAIN, CA CSR NO. 7152

1 ANY OR FROM THE PUBLIC. NOTHING. OKAY, SCOTT.
2 MR. TOCHER: THE MOTION IS TO FUND
3 CLIN1-16244.
4 JUDY CHOU.
5 DR. CHOU: YES.
6 MR. TOCHER: LEONDRA CLARK-HARVEY.
7 DR. CLARK-HARVEY: YES.
8 MR. TOCHER: ANNE-MARIE DULIEGE.
9 DR. DULIEGE: YES.
10 MR. TOCHER: YSABEL DURON.
11 MS. DURON: YES.
12 MR. TOCHER: MARK FISCHER-COLBRIE.
13 MR. FISCHER-COLBRIE: YES.
14 MR. TOCHER: FRED FISHER.
15 DR. FISHER: YES.
16 MR. TOCHER: DAVID HIGGINS.
17 DR. HIGGINS: YES.
18 MR. TOCHER: VITO IMBASCIANI.
19 CHAIRMAN IMBASCIANI: YES.
20 MR. TOCHER: RICH LAJARA.
21 MR. LAJARA: YES.
22 MR. TOCHER: CHRIS MIASKOWSKI.
23 DR. MIASKOWSKI: YES.
24 MR. TOCHER: ADRIANA PADILLA. SORRY.
25 ADRIANA, I'M NOT SURE IF YOU'RE ON MUTE. I SEE

BETH C. DRAIN, CA CSR NO. 7152

1 YOU'RE STILL ON THE CALL. I'LL COME BACK.

2 JOE PANETTA, ARE YOU BACK?

3 MARVIN SOUTHARD.

4 DR. SOUTHARD: YES.

5 MR. TOCHER: KEVIN XU.

6 DR. XU: YES.

7 MR. TOCHER: HOLD ONE SECOND. GREAT. WE

8 JUST HAVE QUORUM. GREAT. THE MOTION CARRIES.

9 THANK YOU.

10 TO THAT END, IF I COULD JUST MAKE -- WE
11 HAVE OBVIOUSLY TWO MORE CRITICAL ITEMS THAT REQUIRE
12 BOARD ACTION ON THE AGENDA STILL TO COME. I THINK
13 WE MAY LOSE QUORUM AROUND 4:15. I HAVE THE
14 FOLLOWING MEMBERS LEAVING AT FOUR, KIM BARRETT AND
15 ADRIANA THOUGH I SEE SHE'S STILL CONNECTED. AND,
16 PAT, I BELIEVE YOU'RE LEAVING AT 4:15 IS YOUR STOP.

17 DR. LEVITT: I'M LEAVING AT FOUR.

18 MR. TOCHER: IF THERE'S ANYONE ELSE EITHER
19 ON ZOOM OR IN THE ROOM WHO HAS ANOTHER HARD STOP --

20 DR. ABOUSALEM: AND MOHAMED.

21 MR. TOCHER: -- WE'LL DEFINITELY NEED TO
22 REACH -- AND MOHAMED. OKAY.

23 DR. CHOU: APOLOGIZE. I DO HAVE A HARD
24 STOP AT 3:30.

25 MR. TOCHER: THOSE WHO DO NOT HAVE A HARD

BETH C. DRAIN, CA CSR NO. 7152

1 STOP. SO WE'LL JUST NEED TO MAKE SURE THAT WE GET
2 TO THE VOTING ITEMS WELL BEFORE FOUR.

3 CHAIRMAN IMBASCIANI: DO YOU RECOMMEND
4 THAT WE CHANGE THE ORDER?

5 MR. TOCHER: DON'T RECOMMEND WE CHANGE THE
6 ORDER, BUT THAT WE DO IT WITH DELIBERATE SPEED.

7 CHAIRMAN IMBASCIANI: OKAY. MR. GIL
8 SAMBRANO WILL NOW TAKE US INTO AGENDA ITEM 13.

9 DR. SAMBRANO: OKAY. I WILL DO MY BEST TO
10 BE SPEEDY. NOT TOO SPEEDY. A BALANCE.

11 THANK YOU. SO I'M GOING TO PRESENT TO YOU
12 THE RECOMMENDATIONS RELATED TO THE FLOW CONTROL.
13 AND I'M GOING TO JUST START OFF WITH THIS CALENDAR
14 VIEW THAT YOU HAVE SEEN AS IT RELATES TO THE
15 STRATEGIC ALLOCATION FRAMEWORK. SO HERE I'M
16 OVERLAYING THE FLOW CONTROL PROCESS.

17 SO THIS STARTED WITH US STOPPING THE
18 ACCEPTANCE OF NEW APPLICATION SUBMISSIONS FROM THE
19 CLINICAL PROGRAM THAT WAS BACK IN FEBRUARY. WE HAVE
20 ACTUALLY HAD REVIEWS, AS WE JUST WENT THROUGH, ALL
21 THE WAY THROUGH JUNE. THIS IS TO DEAL WITH ALL THE
22 RESUBMISSIONS THAT HAVE FOLLOWED THAT. BUT DURING
23 THAT SAME TIME, WE'VE BEEN FIGURING OUT A PROCESS
24 THAT WILL ALLOW US TO ADDRESS THAT LARGE INFLUX OF
25 APPLICATIONS. BUT WE HAVE A PROPOSAL THAT WOULD

BETH C. DRAIN, CA CSR NO. 7152

1 ALLOW US TO RESUME CLIN APPLICATION SUBMISSIONS AT
2 THE END OF JULY 1ST DEADLINE. SO THAT WOULD BE THE
3 FIRST DEADLINE. IF THAT COMES TO BEAR, THEN THE
4 EARLIEST CLINICAL APPLICATION APPROVED WOULD BE
5 AROUND NOVEMBER.

6 AS YOU'VE HEARD, THERE'S ALSO IN SEPTEMBER
7 A PLANNED MEETING TO BRING TO YOU RECOMMENDATIONS
8 RELATED TO THE STRATEGIC ALLOCATION FRAMEWORK
9 ITSELF. AND THAT MAY LEAD TO CREATING NEW
10 OPPORTUNITIES THAT WILL BE DEVELOPED AND MAY LEAD TO
11 CONCEPT AMENDMENTS. SO THAT MAY AFFECT ULTIMATELY
12 WHAT THE CLINICAL PROGRAM LOOKS LIKE. AND WE
13 ANTICIPATE THOSE KINDS OF CHANGES WOULD BE LAUNCHED
14 IN JANUARY. THAT'S JUST SOMETHING TO KEEP IN MIND
15 AS WE MOVE FORWARD THROUGH THIS.

16 THE OTHER IMPORTANT ELEMENT IS THAT THESE
17 ARE TWO PARALLEL EFFORTS THAT, EVEN THOUGH I'M
18 SHOWING THEM IN A SINGLE GRAPH, I WANT TO MAKE SURE
19 THAT THESE ARE DISTINGUISHED. SO ON THE ONE HAND WE
20 HAVE CLINICAL FLOW CONTROL PROCESS, WHICH IS THE
21 PROBLEM THAT WE FACED WITH THE CLINICAL APPLICATIONS
22 AND ALLOWABLE BUDGET AND SO ON AND DEVELOPING A
23 SOLUTION FOR THAT. SEPARATELY THERE'S THE STRATEGIC
24 ALLOCATION FRAMEWORK THAT IS STRATEGY RELATED.

25 SO IMPORTANT TO KNOW, FLOW CONTROL IS ONLY

BETH C. DRAIN, CA CSR NO. 7152

1 FOCUSED ON CREATING AN UPDATED CLIN REVIEW PROCESS.
2 THE EFFORT IS NOT GOING TO ADDRESS FUNDING
3 STRATEGIES. WE FOCUSED THIS PROGRAM ON THE WAY THE
4 CLIN PROGRAM EXISTS TODAY AND ALL OF THE PARAMETERS
5 AROUND IT. AND WE WANT TO MAKE SURE THAT IT'S
6 ADAPTABLE. SO ONCE SEPTEMBER COMES ALONG AND IF WE
7 HAVE DIFFERENT PRIORITIES, WE WANT TO MAKE SURE THAT
8 WE CAN STILL UTILIZE THIS SAME PROGRAM WITHOUT
9 HAVING TO HAVE ANOTHER STOP IN THE FLOW.

10 SO I WANT TO PROVIDE A LITTLE BIT OF
11 HISTORICAL BACKGROUND TO HELP SET THE TABLE FOR THE
12 DIRECTION THAT WE TOOK IN DEVELOPING THIS PROCESS.
13 THE CURRENT CLINICAL REVIEW PROCESS WAS ESTABLISHED
14 IN 2014. THIS WAS WHEN RANDY MILLS WAS HERE AND WE
15 ESTABLISHED THE DISC AND TRAN AND CLIN PROGRAMS
16 THEMSELVES. IN THE TIME BEFORE THAT, WE HAD ONLY
17 FUNDED ABOUT 16 CLINICAL TRIALS. AND THAT WAS A
18 REFLECTION OF THE FACT THAT THE FIELD AT THE TIME
19 REALLY HADN'T YET ADVANCED MANY CANDIDATES TO THE
20 CLINICAL TRIAL STAGE. AND AS A RESULT WE WERE
21 PREPARED TO FUND ANY GOOD CLINICAL TRIAL THAT CAME
22 OUR WAY THAT MET WITH THE PARAMETERS OF REGENERATIVE
23 MEDICINE STEM CELL RESEARCH.

24 AND AS SUCH WE STILL HAD THIS MONTHLY
25 DEADLINE AND 12 CYCLES PER YEAR. EACH PROJECT WAS

BETH C. DRAIN, CA CSR NO. 7152

1 ASSESSED INDEPENDENTLY OF THE OTHERS SINCE WE OFTEN
2 HAD ONLY ONE OR TWO. ESPECIALLY IF WE HAD ONE,
3 THERE WAS NOTHING TO COMPARE THAT PROJECT TO. SO
4 THERE WAS NO RANKING IN HOW WE SCORED THEM OR HOW WE
5 APPROACHED THE REVIEW. IT JUST DIDN'T MAKE SENSE
6 FOR THAT.

7 I ALSO WANT TO NOTE THAT, AS WE HAVE
8 LEARNED NOW OVER THE YEARS, THE PROCESS ITSELF HAS
9 ALIGNED PRETTY WELL WITH THE TARGETED NUMBER OF
10 AWARDS THAT WE HAVE PER YEAR. SO WITH THE BUDGET
11 THAT WE HAVE TYPICALLY ALLOCATED, THAT HAS BEEN
12 INCREASING. AND IF WE WORK OUR WAY UP TO WHAT LAST
13 YEAR WAS, THAT LOOKS TO BE ABOUT 16 CLINICAL TRIAL
14 AWARDS OR 11 IND-ENABLING AWARDS. WITH THE SUCCESS
15 RATES THAT THE PROCESS ALLOWS, THE TOTAL NUMBER OF
16 APPLICATIONS THAT YOU HAVE TO REVIEW, SAY, FOR A
17 CLINICAL TRIAL IS 28 TO 32 PER YEAR. AND WITH 11
18 CYCLES PER YEAR, THAT'S THREE APPLICATIONS, NEW
19 APPLICATIONS, THAT NEED TO COME IN IN ORDER TO
20 ACHIEVE THAT GOAL. AS YOU KNOW, WE'VE BEEN
21 RECEIVING WELL MORE THAN THAT.

22 I WANT TO STRESS THAT THE PROCESS AS IT
23 EXISTS TODAY IS QUITE RIGOROUS. MOST APPLICANTS GO
24 THROUGH ONE APPLICATION REVISION AND SOMETIMES MORE
25 BEFORE GETTING A RECOMMENDATION TO FUND. MEANING

BETH C. DRAIN, CA CSR NO. 7152

1 THAT THE GRANTS WORKING GROUP ITSELF HAS LOOKED AT
2 THIS MORE THAN ONCE. AND WITH FEW APPLICATIONS IN
3 ANY GIVEN CYCLE, EACH APPLICATION DOES GET THE FULL
4 ATTENTION OF THE GRANTS WORKING GROUP. MEANING THAT
5 ALL MEMBERS OF THE PANEL CAN FOCUS AND PROVIDE THEIR
6 COMMENTS AND CONTRIBUTE TO THE DISCUSSION ON EVERY
7 APPLICATION. THAT IS NOT NECESSARILY TRUE FOR
8 CYCLES WHERE WE HAVE MANY, MANY APPLICATIONS, SAY,
9 LIKE DISCOVERY, WHERE IT'S TYPICALLY THOSE THAT ARE
10 ASSIGNED TO THE APPLICATION THAT ARE THE ONES THAT
11 CONTRIBUTE THE MOST.

12 OF COURSE, WE HAVE THE ABILITY WITH THIS
13 SMALL NUMBER OF APPLICATIONS TO REALLY TAILOR THE
14 PANEL TO THE NEEDS OF THOSE APPLICATIONS SO THAT WE
15 BRING ALL OF THE RIGHT EXPERTS TO THE TABLE IN ORDER
16 TO HAVE A ROBUST DISCUSSION.

17 THAT REPRESENTS SOME OF THE THINGS THAT WE
18 WANT TO KEEP IN THE PROCESS. AND SO IN REFLECTING
19 ON THE PROCESS THAT EXISTS TODAY AND THINGS THAT WE
20 WANT TO KEEP GOING FORWARD, WE WANT TO HAVE THAT
21 MAXIMUM CONTRIBUTION FROM THE FULL GRANTS WORKING
22 GROUP PANEL ON EACH APPLICATION, PARTICULARLY FOR
23 THINGS THAT GET TO THIS STAGE OF A CLINICAL TRIAL.

24 THE TIER II PROCESS THAT ALLOWS FOR
25 RESUBMISSION AND PROJECT IMPROVEMENT IS ALSO

BETH C. DRAIN, CA CSR NO. 7152

1 SOMETHING THAT WE HAVE FOUND WORKS WELL. IT NOT
2 ONLY HELPS IMPROVE THE PROJECT, IT ALSO PREVENTS
3 APPEALS. THE ULTIMATE OUTCOME OF AN APPEAL IS FOR
4 AN APPLICANT TO HAVE THE GRANTS WORKING GROUP LOOK
5 AT IT AGAIN. THAT'S BUILT INTO THIS ALREADY. THE
6 FREQUENCY, PREDICTABILITY, AND RAPID PROCESS IS
7 IMPORTANT, PARTICULARLY THE FREQUENCY AND
8 PREDICTABILITY. WE PREVIOUSLY EXPERIENCED THE ISSUE
9 OF APPLICANTS COMING IN TOO EARLY, MEANING THEY
10 DIDN'T HAVE ENOUGH OF THE DATA THEY NEEDED TO
11 DEMONSTRATE THAT THEY WERE READY FOR THIS STAGE.
12 AND SOMETIMES IT WAS ON THE FLIP SIDE WHERE PEOPLE
13 WERE WAITING IN ORDER TO BE ABLE TO APPLY AND HAD TO
14 WAIT SEVERAL MONTHS. AND SO BY HAVING A FREQUENT
15 SET OF CYCLES, THIS ALLOWS APPLICANTS TO COME IN
16 WHEN THEY'RE READY WITH THE APPROPRIATE DATA. AND
17 IF THEY MISS IT, THEY DON'T MISS IT FOR TOO LONG.

18 WE HAVE AND OFFER OPPORTUNITIES FOR
19 CLARIFYING THINGS IN THEIR APPLICATION WITH THE
20 GRANTS WORKING GROUP. WE HAVE THE PARTICIPATION OF
21 THE PATIENT ADVOCATE MEMBERS IN THE EVALUATION OF
22 THE PROJECTS AND DEI, WHICH IS IMPORTANT AND HIGHLY
23 VALUED. GENERALLY, AS I SHOWED IN THE TABLE, THEY
24 ALIGN WITH THE NUMBER OF PROPOSALS THAT WE TEND TO
25 TARGET ANNUALLY.

BETH C. DRAIN, CA CSR NO. 7152

1 AND SO WITH THESE THINGS, WE CONSIDERED
2 WHETHER AN APPROACH THAT WE COULD TAKE WAS SIMPLY TO
3 FILTER WHAT COMES INTO THAT EXISTING PROCESS AS ONE
4 SOLUTION, WHICH ULTIMATELY IS WHAT WE WENT WITH, BUT
5 IT WASN'T THE ONLY THING WE CONSIDERED. WE THOUGHT,
6 WELL, WE CAN JUST THROW THE WHOLE THING AWAY AND
7 FIGURE OUT CAN WE DO SOMETHING COMPLETELY NEW THAT
8 WOULD WORK OR ADOPT WHAT WE DO FOR THE DISCOVERY AND
9 TRAN REVIEWS.

10 AND THE ISSUE WITH THOSE IS THAT EVEN
11 THOUGH THOSE WOULD ALLOW FOR A GREATER NUMBER OF
12 APPLICATIONS TO BE REVIEWED, THEY'RE NOT GOING TO BE
13 WITH THE SAME LEVEL OF RIGOR AND ATTENTION BECAUSE,
14 NO MATTER WHAT, THE MORE YOU INCREASE THE NUMBER OF
15 APPLICATIONS, THE LESS ATTENTION YOU HAVE ON THOSE.
16 THE FREQUENCY WOULD NEED TO BE LESS IF WE CHANGED IT
17 IN ORDER TO ACCOMMODATE THAT KIND OF CHANGE. AS YOU
18 KNOW, DISCOVERY AND TRAN REVIEWS, WE DON'T HAVE THAT
19 OFTEN IN PART BECAUSE OF THE HIGH DEMAND, WHICH
20 MAKES IT DIFFICULT TO HAVE THAT MANY APPLICATIONS IN
21 ONE SITTING AND WOULD LIKELY REQUIRE MORE EXTENSIVE
22 POLICY CHANGES AND CHANGES TO THE APPLICATION OR
23 PROGRAMS.

24 SO WE THOUGHT CERTAINLY THE FILTERING OR
25 QUALIFYING APPROACH MIGHT BE WHAT WE WOULD TRY

BETH C. DRAIN, CA CSR NO. 7152

1 FIRST. SO HOW DOES THIS LOOK JUST KIND OF AT A VERY
2 HIGH LEVEL. THIS IS AN ILLUSTRATION OF THE EXISTING
3 OR CURRENT CLINICAL APPLICATION AND REVIEW PROCESS
4 WHICH WE DIVIDE INTO THREE PHASES. THE ELIGIBILITY
5 PHASE WHEN APPLICATIONS COME IN THAT WE ASSESS, THE
6 MERIT REVIEW DONE BY THE GRANTS WORKING GROUP, AND
7 THE LAST PART WHICH IS THE FUNDING DECISION THAT
8 COMES TO THE BOARD. AND SO THAT WHOLE CYCLE, IF AN
9 APPLICANT APPLIES AND EVERYTHING GOES WELL, CAN TAKE
10 THREE MONTHS.

11 WHAT WE ARE PROPOSING IS TO ADD AT THE
12 FRONT END A QUALIFICATION PROCESS -- AT LEAST THAT'S
13 WHAT WE'RE CALLING IT -- THAT WOULD BE A RANK
14 SCORING APPROACH. THAT WAY YOU ARE COMPARING
15 APPLICATIONS, WHICH IS NOT SOMETHING WE'VE DONE
16 BEFORE WITH THE CLINICAL PROGRAM, IN ORDER TO
17 DETERMINE WHAT THEN WILL ADVANCE INTO THE REGULAR
18 CYCLE. ADDING THAT QUALIFICATION STEP WOULD ADD A
19 MONTH. AND SO NOW YOU WOULD BE LOOKING AT CYCLES
20 THAT WOULD BE FOUR MONTHS FROM THE TIME OF
21 APPLICATION SUBMISSION TO FINAL APPROVAL.

22 AND SO I'LL GO INTO A LITTLE MORE DETAIL
23 OF WHAT THIS LOOKS LIKE. THIS, IMPORTANTLY, WOULD
24 ONLY APPLY TO APPLICANTS FOR CLIN1 OR CLIN2, NOT THE
25 CLIN4 PROGRAM. THE CLIN4 PROGRAM WE ANTICIPATE

BETH C. DRAIN, CA CSR NO. 7152

1 WOULD ONLY BE MAYBE ONE TO POSSIBLY THREE
2 APPLICATIONS PER YEAR. AND THOSE ALREADY HAVE AN
3 EXISTING CLIN2 AS PART OF THE QUALIFICATIONS. SO WE
4 DIDN'T THINK IT WAS NECESSARY TO INCLUDE THOSE
5 WITHIN THIS.

6 THE QUALIFICATION PROCESS WOULD CREATE A
7 QUALIFYING SCORE THAT IS BASED ON SOME OBJECTIVE AND
8 SUBJECTIVE CRITERIA, WHICH I WILL DESCRIBE TO YOU.
9 AND WE WOULD RANK THE SUBMISSIONS AND ADVANCE THE
10 TOP FIVE INTO THE CYCLE. I CALL IT THE NEXT CYCLE,
11 BUT BASICALLY TO ADVANCE WITHIN THE REVIEW. WE
12 WOULD RETAIN SUBMISSIONS WITHIN A COMPETITIVE POOL
13 FOR TWO ADDITIONAL CYCLES IN ORDER TO ALLOW
14 APPLICATIONS THAT COME IN MULTIPLE OPPORTUNITIES TO
15 ADVANCE. EVEN IF THEY DON'T ADVANCE DURING THE
16 INITIAL CYCLE IN WHICH THEY COME IN, THEY COULD IN
17 ONE OF THE SUBSEQUENT ONES. OF COURSE, IF ANY POOL
18 HAS FIVE APPLICATIONS OR LESS, ALL OF THOSE WOULD
19 ADVANCE.

20 SO THE QUALIFICATION PROCESS ITSELF, WE
21 DIVIDE INTO TWO STEPS. THE FIRST IS WHERE OBJECTIVE
22 CRITERIA ARE USED TO SCORE THE APPLICATIONS. THIS
23 WOULD BE DONE BY THE CIRM TEAM. POINTS ARE AWARDED
24 FOR EACH OF THE CRITERIA THAT ARE MET. AND THEN
25 APPLICATIONS ARE THEN RANKED BY THE RESPECTIVE

BETH C. DRAIN, CA CSR NO. 7152

1 SCORES, AND THE TOP FIVE WOULD THEN QUALIFY AND
2 ADVANCE. IF THERE ARE TIES, MEANING YOU DON'T HAVE
3 A CLEAR, DISCERNIBLE FIVE BASED ON THE SCORES THAT
4 YOU GET, THEN WE MOVE TO STEP 2 WHERE WE UTILIZE THE
5 SUBJECTIVE CRITERIA AND RECRUIT THE ASSISTANCE OF
6 GRANTS WORKING GROUP EXPERTS WHO WILL SCORE THE
7 APPLICATION BASED ON FOUR TO FIVE KEY QUESTIONS,
8 WHICH I WILL SHOW YOU IN JUST A SECOND. THE APPS
9 WOULD THEN BE RANKED BY THEIR SCORE IN ORDER TO
10 DETERMINE WHAT THOSE FIVE ARE.

11 AGAIN, THEY HAVE A COUPLE OF OPPORTUNITIES
12 TO QUALIFY; BUT IF AFTER THAT TIME THEY DON'T
13 QUALIFY, THEN THEY CANNOT RESUBMIT FOR SIX MONTHS.

14 SO AN EXAMPLE OF THE OBJECTIVE CRITERIA
15 THAT WOULD BE SCORED BY CIRM, WE'RE THINKING ABOUT
16 THINGS SUCH AS IT BEING A CALIFORNIA ORGANIZATION,
17 FOR EXAMPLE, A PIPELINE PROJECT OR PROGRESSION
18 EVENT. BY THAT WE MEAN THAT THEY HAVE RECEIVED CIRM
19 FUNDING BEFORE AND ARE ADVANCING TO THE NEXT STAGE
20 FROM, SAY, IND-ENABLING STUDIES NOW TO THEIR PHASE 1
21 OR FROM THEIR PHASE 1 TO THEIR PHASE 2.

22 THE THERAPEUTIC TYPE, FOR EXAMPLE,
23 FAVORING CELL THERAPY OVER SMALL MOLECULES, AND I'LL
24 GO INTO THAT A LITTLE FURTHER IN JUST A SECOND.
25 EXAMPLES OF SUBJECTIVE CRITERIA, THESE ARE CRITERIA

BETH C. DRAIN, CA CSR NO. 7152

1 THAT ARE BASED ON THE ALREADY EXISTING REVIEW
2 CRITERIA THAT THE GRANTS WORKING GROUP USES. THESE
3 ARE SOME OF THE SUBQUESTIONS THAT ARE ACTUALLY PART
4 OF THE SIGNIFICANCE AND IMPACT THAT THEY USE. AND
5 SO HERE WE WOULD ASK THEM TO FOCUS ON THAT HIGH
6 LEVEL. IS THIS SOMETHING THAT ADDRESSES AN UNMET
7 NEED? WOULD IT HAVE IMPACT ON PATIENTS IF THEY WERE
8 TO SUCCEED? AND REPRESENTS AN IMPROVEMENT OVER THE
9 STANDARD OF CARE. WE ALSO THINK THAT HAVING AN
10 ADEQUATE DEI PLAN WOULD BE AN IMPORTANT THING TO
11 ASSESS UP FRONT SUCH THAT, IF THEY DO, IT'S
12 SOMETHING THAT SHOULD BE ADVANTAGED.

13 SO HERE ARE THE RECOMMENDED OBJECTIVE
14 CRITERIA JUST TO BE MORE SPECIFIC. IN YOUR
15 MATERIALS YOU ALSO HAVE THE MODIFICATIONS THAT ARE
16 REFLECTED IN THE CONCEPT DOCUMENT. SO WE ARE ADDING
17 LANGUAGE TO ALLOW US TO BE ABLE TO MAKE THESE
18 ASSESSMENTS AND TRYING TO BE TRANSPARENT AS BEST WE
19 CAN ABOUT THE CRITERIA THAT ARE USED IN ORDER TO
20 MAKE THESE ASSESSMENTS. AND EVEN THOUGH THE
21 CRITERIA THAT WE'VE CHOSEN ARE GENERALLY SUPPORTED
22 UNDER PROP 14, THE CLINICAL PROGRAM CONCEPT ITSELF,
23 AND I THINK WHAT WE HAVE HEARD FROM MEMBERS OF THE
24 BOARD, THEY DO HAVE A PROGRAMMATIC VALUE. SO WE
25 WANT TO MAKE SURE THAT WE ARE IN AGREEMENT AS TO THE

BETH C. DRAIN, CA CSR NO. 7152

1 APPROPRIATENESS OF USING THESE AND THAT YOU ARE IN
2 AGREEMENT WITH THE DIRECTION THAT THESE WOULD TAKE
3 US IN TERMS OF THE APPLICATIONS THAT WE WOULD
4 ADVANTAGE.

5 SO THESE INCLUDE ADVANTAGING
6 CALIFORNIA-BASED ORGANIZATIONS OVER NON-CALIFORNIA
7 ORGANIZATIONS. GIVING PREFERENCE TO CELL THERAPY
8 AND GENE THERAPY APPROACHES OVER SMALL MOLECULES AND
9 TRADITIONAL BIOLOGICS. AGAIN, PROJECTS ADVANCING
10 THAT HAVE HAD ALREADY PREVIOUS CIRM FUNDING. THAT
11 WE WOULD FAVOR PROJECTS THAT ARE AT A LATER STAGE OF
12 DEVELOPMENT, SUCH AS A PHASE 3 OVER A PHASE 1 OR A
13 CLIN2 OVER A CLIN1. PROJECTS THAT ARE TARGETING A
14 DISEASE OR CONDITION OF THE BRAIN OR THE CENTRAL
15 NERVOUS SYSTEM GIVEN THE PRIORITY OF THE PROPOSITION
16 FOR THAT ELEMENT.

17 SO THE SUBJECTIVE CRITERIA, AS I
18 MENTIONED, ARE BASED ON THE REVIEW CRITERIA THAT
19 ALREADY EXIST IN THE PROGRAM ANNOUNCEMENT. THIS IS
20 WHAT THE GRANTS WORKING GROUP IS ALREADY USED TO
21 USING, BUT THEY WOULD FOCUS ON THE SIGNIFICANCE AND
22 IMPACT WITH THE QUESTIONS THAT I OUTLINED EARLIER,
23 INCLUDING THE DEI AND ALSO THE COMPLETENESS OF THE
24 PLAN. ONE OF THE REASONS WE ADDED WHETHER IT HAS
25 ALL THE NECESSARY COMPONENTS FOR PROPER EVALUATION

BETH C. DRAIN, CA CSR NO. 7152

1 IS SIMPLY BECAUSE SOME APPLICATIONS SUFFER FROM NOT
2 HAVING, SAY, A COMPLETE MANUFACTURING PLAN THAT
3 MAKES IT VERY DIFFICULT FOR REVIEWERS TO ASSESS. SO
4 GETTING THEIR ASSESSMENT OF WHETHER IT'S COMPLETE
5 FROM THEIR PERSPECTIVE WE THOUGHT WAS IMPORTANT.

6 THERE ARE A COUPLE OF OTHER CHANGES THAT
7 WE WANT TO IMPLEMENT THAT WE THINK WILL HELP
8 STREAMLINE THE PROCESS. ONE IS TO LIMIT THE TIER II
9 RESUBMISSIONS TO ONE TIME. CURRENTLY APPLICANTS CAN
10 RESUBMIT AS MANY TIMES AS THEY WANT. SO IF THE
11 GRANTS WORKING GROUP GIVES THEM A SCORE OF 2, THERE
12 IS NO LIMIT TO HOW MANY TIMES THEY CAN SCORE A 2 AND
13 COME BACK. SO WE FELT THAT LIMITING TO ONE
14 INSTANCE, GIVING THEM ONE OPPORTUNITY TO BRING BACK
15 A STRONG RESUBMISSION, THEREAFTER SCORING IT A 1 OR
16 A 3 WOULD HELP ALLEVIATE SOME OF THE BUILDUP OF
17 APPLICATIONS.

18 WE WOULD ALSO WANT TO TIGHTEN INTERNAL
19 DEADLINES FOR RESOLVING ELIGIBILITY ISSUES. THERE'S
20 A LOT OF BACK AND FORTH THAT OUR STAFF GOES THROUGH
21 WITH APPLICANTS IN ORDER TO ENSURE COMPLETENESS AND
22 THAT WE HAVE ALL OF THE ELEMENTS THAT ARE NECESSARY.
23 SO WE WANT TO LIMIT THE AMOUNT OF EFFORT THAT GOES
24 INTO THAT AND GIVE THEM ONE OPPORTUNITY TO DO THAT.

25 AND SO AS A RESULT, THE POLICIES OR

BETH C. DRAIN, CA CSR NO. 7152

1 REGULATIONS THAT WOULD NEED TO CHANGE. WE WOULD
2 NEED TO UPDATE THE GRANTS WORKING GROUP BYLAWS TO
3 RESTRICT THE TIER II PROCESS FOR CLIN REVIEWS. AND
4 SO THAT THE LANGUAGE IS PROVIDED IN THE GRANTS
5 WORKING GROUP AMENDMENTS, BYLAW AMENDMENTS, THAT
6 WERE PROVIDED. AND IN THE CONCEPT WE DEFINE THIS
7 QUALIFICATION STEP AND THE SELECTION CRITERIA THAT
8 WOULD ALLOW US TO MOVE FORWARD WITH THIS PROCESS.

9 AND SO WHAT WE'RE SEEKING IS YOUR APPROVAL
10 FOR THOSE CHANGES IN THE BYLAWS AND THE CONCEPT THAT
11 WOULD ALLOW US TO MOVE THIS FORWARD AND OPEN UP THE
12 OPPORTUNITY WITH THE END OF JULY, LAST BUSINESS DAY
13 OF JULY BEING THE FIRST DEADLINE. BACK TO YOU, MR.
14 CHAIR.

15 CHAIRMAN IMBASCIANI: GREAT. THANK YOU.
16 THANKS FOR THE PRESENTATION, GIL. I'M GOING TO ASK
17 FOR A MOTION TO DO EXACTLY WHAT THAT SLIDE SAYS,
18 WHICH IS TO UPDATE THE GWG BYLAWS AND THE CONCEPT.

19 DR. BARRETT: I MOVE APPROVAL.

20 CHAIRMAN IMBASCIANI: MOVE APPROVAL. KIM
21 MOVES. AND WE HAVE A SECOND?

22 VICE CHAIR BONNEVILLE: SECOND.

23 CHAIRMAN IMBASCIANI: SECOND FROM MARIA.
24 STEVE.

25 MR. JUELSGAARD: JUST FOR CLARIFICATION,

BETH C. DRAIN, CA CSR NO. 7152

1 GIL. SO VERY EARLY ON IN THE PRESENTATION YOU
2 INDICATED THAT WE HAVE ON AVERAGE 11 CYCLES PER
3 YEAR, RIGHT?

4 DR. SAMBRANO: CORRECT.

5 MR. JUELSGAARD: AND THEN LATER IN THE
6 PRESENTATION YOU WANT TO ADVANCE THE TOP FIVE PER
7 NEXT CYCLE. SO WE'RE TALKING ABOUT 55 PER YEAR. IS
8 THAT HOW THIS IS SUPPOSED TO WORK?

9 DR. SAMBRANO: NO. SO THE ELEVEN CYCLES
10 REPRESENTS THE DEADLINE FOR APPLICATIONS, WHICH IS
11 USUALLY AT THE END OF EVERY MONTH EXCEPT FOR
12 OCTOBER, AND WE DON'T DO OCTOBER TO AVOID HAVING A
13 GWG IN DECEMBER. THAT USUALLY FALLS RIGHT INTO THE
14 HOLIDAYS. SO WE HAVE ELEVEN CYCLES, AND EACH CYCLE
15 BEGINS WITH A DEADLINE ALL THE WAY THROUGH GETTING
16 THROUGH THE GWG AND TO THE BOARD. AND SO IT'S THE
17 COHORT OF APPLICATIONS THAT COME IN AT A GIVEN
18 DEADLINE THAT COME INTO AND WHAT CONSTITUTES THE
19 CYCLE. SO IT INCLUDES ALL THE APPLICATIONS, NOT
20 EACH ONE.

21 MR. JUELSGAARD: LET ME ASK THE QUESTION
22 DIFFERENTLY THEN. SO WE COULD HAVE AN ARS MEETING
23 EVERY MONTH. AND WE IN THEORY CAN APPROVE. SO HOW
24 MANY OF THE CLIN1 AND CLIN2 APPLICATIONS ON A PER
25 MONTH ARS MEETING BASIS DO YOU EXPECT THAT WE'LL BE

BETH C. DRAIN, CA CSR NO. 7152

1 LOOKING AT USING THIS PROCESS? BECAUSE I CAN'T PUT
2 TOGETHER THE NUMBER OF MEETINGS WE'RE GOING TO HAVE
3 WITH THE LIMITATION OF WHATEVER FIVE PER CYCLE
4 MEANS.

5 DR. SAMBRANO: SO I GUESS THE EASIEST WAY
6 TO SAY THIS IS THAT WHAT YOU'VE BEEN USED TO IN
7 TERMS OF BASICALLY HAVING AN ARS EVERY MONTH WOULD
8 CONTINUE. THE NUMBER OF APPLICATIONS THAT YOU WOULD
9 SEE WOULD REMAIN ABOUT THE SAME IF THE SUCCESS RATE
10 CONTINUES TO BE THE SAME. AND THE LIMIT IS
11 BASICALLY PREVENTING IT FROM INCREASING BEYOND WHAT
12 WE'RE USED TO.

13 SO IT'S KIND OF DRAWING A LINE AND SAYING
14 WHAT WE'VE BEEN USED TO UP THROUGH THIS TIME IS
15 SOMETHING THAT WE CAN HANDLE. IF IT GOES BEYOND
16 THAT, WE CAN'T. AND SO FROM YOUR PERSPECTIVE YOU
17 SHOULD EXPECT TO SEE LARGELY THE SAME AS WE HAVE
18 BEEN DOING.

19 DR. JUELSGAARD: AND THE LIMIT IS WE WOULD
20 DO NO MORE THAN FIVE AT ANY PARTICULAR ARS MEETING?

21 DR. SAMBRANO: CORRECT.

22 MR. JUELSGAARD: AND THE ONES THAT WE
23 COULDN'T DO BECAUSE THERE WERE TOO MANY OF THEM,
24 WHAT HAPPENS TO THEM? DO THEY HAVE TO BE
25 RESUBMITTED? DO THEY GET CARRIED FORWARD?

BETH C. DRAIN, CA CSR NO. 7152

1 DR. SAMBRANO: IT ULTIMATELY DEPENDS ON
2 THE SCORE. I MEAN IT IS EVEN POSSIBLE THAT A GIVEN
3 ARS MAY SEE MORE THAN FIVE BECAUSE THEY DO GET THE
4 OPPORTUNITY FOR RESUBMISSION. SO THAT ADDS TO THE
5 NUMBER THAT GO INTO A GIVEN REVIEW. SO A GIVEN
6 REVIEW WILL MAYBE HAVE MORE THAN FIVE BECAUSE OF
7 THAT. AND SO THE ONES THAT SCORE A 3 DON'T COME
8 BACK AGAIN. AND THEN THE TWO ARE FLOATING IN THERE,
9 AND WHEN THEY COME BACK IS UP TO THEM.

10 MR. JUELSGAARD: IT'S THE PROBLEM WE WERE
11 JUST TALKING ABOUT WITH THE TRAN AWARDS OF WHICHEVER
12 ONES THEY WERE, RIGHT?

13 DR. SAMBRANO: YES.

14 MR. JUELSGAARD: AND SO WE HAD WHATEVER
15 NUMBER, SIX OF THEM THAT SCORED 85 OR ABOVE, BUT WE
16 SAID WE DON'T ENOUGH MONEY. SO THEN WE SAY YOU'VE
17 GOT TO SUBMIT A WHOLE NEW APPLICATION.

18 DR. SAMBRANO: OH, I SEE. YES.

19 MR. JUELSGAARD: SO THE QUESTION IS THE
20 GWG, WE'RE GOING TO SAY TO THEM YOU CAN ONLY SCORE
21 FIVE OF THESE. LET'S SAY THAT WE HAD EIGHT THAT
22 CAME IN. YOU CAN ONLY SCORE FIVE OF THESE AT 85 AND
23 ABOVE OR GIVE THEM A POSITIVE VOTE AND THE OTHER
24 THREE CAN'T, OR YOU COULD GIVE A POSITIVE VOTE TO
25 EVERY ONE OF THEM WHERE WE RANK THEM?

BETH C. DRAIN, CA CSR NO. 7152

1 DR. SAMBRANO: NO. SO WHAT WE TELL THE
2 GRANTS WORKING GROUP IS FOR THEM TO RECOMMEND
3 WHATEVER THEY FEEL IS MERITORIOUS BECAUSE FROM THEM
4 WE WANT TO KNOW IS THIS SCIENTIFICALLY MERITORIOUS
5 OR NOT. AND THEN IT'S UP TO THE BOARD TO DECIDE
6 ULTIMATELY WHAT TO FUND. BUT THE WAY THE CLIN
7 PROGRAM WORKS IS A LITTLE FROM TRAN. FOR TRAN WE
8 BRING EVERYTHING TO YOU. FOR CLIN WE DON'T.
9 BECAUSE IF SOMETHING SCORES A 2, THEN IT'S SOMEWHERE
10 ELSE. IF IT'S A 3, THEY TYPICALLY WITHDRAW. SO
11 THERE'S BY DEFAULT A WITHDRAWAL UNLESS THEY DON'T
12 WANT US TO. IN THAT RARE INSTANCE, THEN IT WILL
13 COME TO YOU. BUT YOU'RE ONLY GENERALLY GOING TO SEE
14 THOSE THAT GET A SCORE OF 1.

15 MR. JUELSGAARD: UNDERSTAND. I'M JUST
16 THINKING IF WE HAD EIGHT SCORES OF 1 AT SOME GWG
17 MEETING, WHAT HAPPENS THEN VIS-A-VIS THE FIVE THAT
18 WE'RE TALKING ABOUT?

19 DR. SAMBRANO: THERE THEN THE BOARD IS
20 FACED WITH, IF THERE'S A LIMITED BUDGET, WHICH OF
21 THE EIGHT TO FUND.

22 MR. JUELSGAARD: BUT YOU'LL BRING ALL
23 EIGHT OF THEM.

24 DR. SAMBRANO: BUT WE WOULD BRING ALL
25 EIGHT, YES.

BETH C. DRAIN, CA CSR NO. 7152

1 CHAIRMAN IMBASCIANI: THANK YOU. FRED.

2 DR. FISHER: THANKS, GIL. AMAZING
3 PRESENTATION. AND I JUST WANT TO CONGRATULATE YOU
4 AND THE TEAM FOR COMING UP WITH SUCH A COMPREHENSIVE
5 REVIEW AND PROPOSAL.

6 AND I ALSO JUST WANT TO SAY THAT PART OF
7 THIS MAY BE RELIEVING SOME ADDITIONAL BURDENS
8 RELATED TO RECRUITING PANELS OF EXPERTS. I'VE BEEN
9 COMPLETELY IMPRESSED WITH THE LEVEL OF EXPERTISE
10 THAT YOU WERE ABLE TO RECRUIT INTO THESE GWG
11 MEETINGS. AND I'M ON, I THINK, EVERY GWG WORK
12 GROUP. AND THE TOPIC-SPECIFIC AREAS OF THE PEOPLE
13 YOU RECRUIT ARE OUTSTANDING. SO JUST
14 CONGRATULATIONS ON ALL THAT.

15 I HAVE TWO OTHER COMMENTS. ONE, I THINK
16 YOUR VERY FIRST SLIDE SHOWED THAT YOU'D BE ADDING A
17 STEP. THAT WAS BASICALLY ALL THIS STUFF. AND IT
18 LEAVES ME WONDERING IF YOU'RE DOING ALL THIS STUFF,
19 DO YOU REALLY NEED THE NEXT STEP, WHICH IS WHAT
20 YOU'VE BEEN DOING BECAUSE IT SEEMS LIKE A LOT OF
21 THAT WORK WILL GET DONE IN THE FIRST STEP. SO
22 THAT'S THE FIRST QUESTION.

23 THE SECOND THING IS REALLY PROBABLY
24 SOMETHING FOR THE STRATEGIC REALIGNMENT PROCESS.
25 FUNDING PHASE 3 CLINICAL TRIALS, THOSE ARE TYPICALLY

BETH C. DRAIN, CA CSR NO. 7152

1 SUPER EXPENSIVE AND COULD CONSUME GIANT CHUNKS OF
2 OUR BUDGET. SO I NEED TO UNDERSTAND MORE ABOUT SORT
3 OF HOW WE GO INTO FUNDING A PHASE 3 CLINICAL TRIAL
4 AND IN PARTNERSHIP WITH A BIOTECH THAT HAS GOT A
5 PLAN FOR COMMERCIALIZATION. AND THEN I WOULD HOPE
6 THAT, GIVEN THE MONEY THAT WE'D BE PUTTING INTO THAT
7 TRIAL, THAT WE'D ALSO BE NEGOTIATING SOME KIND OF
8 REVENUE SHARING AGREEMENT BECAUSE WE'RE FUNDING
9 BASICALLY A COMMERCIALIZATION PROCESS. THOSE ARE MY
10 COMMENTS.

11 DR. SAMBRANO: THANK YOU, FRED. SO FOR
12 YOUR FIRST QUESTION, YES. SOME OF THE ELIGIBILITY
13 ACTIVITIES THAT WE TYPICALLY DO, WE'VE BEEN THINKING
14 ABOUT HOW THAT WOULD OVERLAP WITH THAT QUALIFYING
15 STEP. SOME OF IT MAY, BUT THERE ACTUALLY IS QUITE A
16 BIT THAT WE DO DURING ELIGIBILITY. PARTICULARLY THE
17 GRANTS MANAGEMENT TEAM DOES A LOT IN TERMS OF
18 ASSESSING THE BUDGETS AND DETERMINING HOW THEY
19 COMPARE TO OTHER PROJECTS AND PROVIDING AN
20 ASSESSMENT OF THAT, FIGURING OUT WHAT IS OR ISN'T
21 ALLOWABLE. AND BEFORE WE SPEND TIME ON ALL OF THE
22 APPLICATIONS ON THAT DEGREE OR THAT LEVEL
23 ASSESSMENT, WE WANT TO MAKE SURE THAT IT'S SOMETHING
24 THAT WE'RE GOING TO TAKE THROUGH THE FULL REVIEW.

25 BUT AT THE SAME TIME, I THINK WE ARE

BETH C. DRAIN, CA CSR NO. 7152

1 WANTING TO TEST OUT THIS WORKS. AND IT COULD BE
2 THAT THERE ARE EFFICIENCIES THAT WE MAY COME UP WITH
3 AS WE MOVE ALONG THAT MIGHT ALLOW THOSE TWO STEPS TO
4 MERGE A LITTLE MORE THAN THEY ARE ILLUSTRATED, BUT
5 THAT'S SOMETHING THAT WE WANT TO WAIT AND SEE.

6 WITH REGARDS TO THE PHASE 3 PROJECTS, I
7 THINK ALL WE'RE SAYING THERE, IF WE GET A PROJECT
8 THAT REPRESENTS SOMETHING THAT'S MUCH MORE ADVANCED
9 RELATIVE TO THE OTHER PROJECTS THAT ARE COMING IN,
10 IT IS WHAT WE WOULD PREFER TO MOVE FORWARD. AND SO
11 IT COULD BE A PHASE 3, IT COULD BE A PHASE 2. I
12 THINK EACH OF THOSE PROJECTS THEN NEED TO BE
13 ASSESSED AS ALL THE OTHERS ARE BASED ON THE CRITERIA
14 AND ULTIMATELY WHETHER THEY HAVE AN IMPACT OR NOT.

15 DR. FISHER: CONGRATULATIONS TO YOU AND
16 YOUR TEAM FOR, NOT JUST THIS PRESENTATION, BUT FOR
17 EXECUTING REALLY A STELLAR GRANTS REVIEW PROCESS.
18 IT'S SUPER IMPRESSIVE, AND YOU AND YOUR TEAM ARE TO
19 BE CONGRATULATED.

20 DR. SAMBRANO: THANK YOU. IT'S A GREAT
21 TEAM. I'M VERY PROUD TO LEAD IT.

22 CHAIRMAN IMBASCIANI: THANK YOU. I HAVE
23 CHRIS MIASKOWSKI AND THEN YSABEL AND THEN PAT AND
24 ANNE-MARIE IN THAT ORDER.

25 DR. MIASKOWSKI: I WANT TO ECHO FRED'S

BETH C. DRAIN, CA CSR NO. 7152

1 PRAISE OF YOUR GROUP. IT'S REALLY A PLEASURE TO
2 SERVE ON THIS COMMITTEE.

3 I'D LIKE TO DRILL DOWN IN TERMS OF YOUR
4 QUALIFICATION PROCESS RELATED TO STEP TWO. AND I'M
5 WONDERING MAYBE ALONG THE LINES OF FRED, FOR EACH OF
6 THESE APPLICATIONS THAT WE'RE GOING TO QUALIFY, HOW
7 MANY MEMBERS OF THE GWG ARE GOING TO REVIEW THE
8 APPLICATION? IS IT GOING TO BE A -- MAYBE TELL A
9 LITTLE BIT MORE ABOUT THE LEVEL OF DEPTH OF THE
10 REVIEW BECAUSE IT COULD BE ALMOST DOUBLE WORK IN
11 SOME CASES, RIGHT?

12 DR. SAMBRANO: YES. SO PART OF WHAT WE'RE
13 TRYING TO DO IS MAKE THE PROCESS AS EFFICIENT AND
14 EASY FOR REVIEWERS TO ACCOMPLISH. SO THINKING OF
15 THE QUESTIONS THAT THEY COULD ADDRESS WITHOUT HAVING
16 TO GO IN-DEPTH. AND SO FROM A HIGH LEVEL, THEY CAN
17 CERTAINLY SEE WHETHER THIS PROPOSAL IS SOMETHING
18 THAT IS ADDRESSING AN UNMET NEED, WHETHER IT'S
19 SOMETHING THAT HAS BEEN DONE BEFORE OR NOT, WHERE IT
20 STANDS IN THE FIELD. AND WE DON'T WANT THEM TO TAKE
21 A DEEP DIVE INTO THE REVIEW ONLY TO HAVE TO DO IT
22 AGAIN. SO OUR GOAL WAS TO TAKE THESE QUESTIONS AND
23 HAVE THEM SCORE EACH ONE ON A SCALE OF ONE TO FIVE
24 AND TELL US, RELATIVELY SPEAKING, IS THIS
25 APPLICATION ADDRESSING AN UNMET NEED ONE TO FIVE?

BETH C. DRAIN, CA CSR NO. 7152

1 RELATIVE TO THE STANDARD OF CARE, IF THEY SUCCEED
2 WITHOUT LOOKING AT ALL OF THEIR STUDIES. IF THEY
3 SUCCEED, WOULD THIS BE A MEANINGFUL IMPROVEMENT OVER
4 THAT OR NOT?

5 SO WE WANT THE QUESTIONS TO BE HIGH LEVEL.
6 WE WANT THEM TO BE RELATIVELY EASY FOR THEM TO DO.
7 WE DID SURVEY THE WORKING GROUP MEMBERS OR A SELECT
8 GROUP OF WORKING GROUP MEMBERS TO UNDERSTAND WHETHER
9 THESE WERE QUESTIONS THAT THEY COULD READILY ADDRESS
10 IF WE PRESENTED AN APPLICATION TO THEM. AND WE
11 SAID, HEY, WE NEED YOU TO JUST QUICKLY TELL US AND
12 PICK AND SCORE THESE ON THESE BASES. AND SO WE GOT
13 AGREEMENT THAT THAT WAS COMPLETELY DOABLE FOR THEM.
14 SO WE FELT COMFORTABLE MOVING FORWARD.

15 DR. MIASKOWSKI: WOULD WE EACH DO A COUPLE
16 OF APPLICATIONS, ARE YOU THINKING?

17 DR. SAMBRANO: WELL, PATIENT ADVOCATE
18 MEMBERS WOULD NOT BE DOING THAT AT THIS STAGE OF THE
19 PROCESS. WE WOULD BE DOING THE QUALIFICATION BASED
20 ON THAT SIGNIFICANCE, WOULD BE DISEASE AREA EXPERTS,
21 AND WE'VE BEEN TOYING AROUND WITH THE IDEA OF
22 BASICALLY CREATING A GROUP OF ABOUT 20 OR 25 THAT WE
23 WOULD GO TO THAT WOULD ALSO DEVELOP SORT OF A MEMORY
24 OF WHAT HAS COME THROUGH SO THAT THEY UNDERSTAND HOW
25 THEY HAVE RANKED AND THERE'S SOME CONSISTENCY IN HOW

BETH C. DRAIN, CA CSR NO. 7152

1 THEY QUALIFY, BUT THAT'S SOMETHING THAT WE ARE STILL
2 DEVELOPING.

3 DR. MIASKOWSKI: YOU WOULDN'T HAVE THE
4 PATIENT ADVOCATES AND NURSE MEMBERS DO THE DEI
5 PORTION?

6 DR. SAMBRANO: CORRECT. THIS WOULD BE
7 LOOKING AT THE COMPLETENESS OF THE DEI PLAN, BUT YOU
8 WOULD DO THE DEI ON ALL OF THE APPLICATIONS THAT
9 QUALIFY.

10 DR. MIASKOWSKI: WOULD THE APPLICANTS GET
11 FEEDBACK ON THIS PART OF THE PROCESS?

12 DR. SAMBRANO: I'M SORRY. COULD YOU
13 REPEAT THAT?

14 DR. MIASKOWSKI: WOULD THE APPLICANTS GET
15 FEEDBACK ON THE OUTCOME OF THE PROCESS?

16 DR. SAMBRANO: WELL, SO WE'VE BEEN
17 THINKING ABOUT THAT TOO IN TERMS OF WHAT FEEDBACK WE
18 COULD PROVIDE. WITH THE OBJECTIVE CRITERIA, I THINK
19 THAT'S PRETTY PLAIN FOR THEM TO KNOW AND UNDERSTAND.
20 WE COULD CERTAINLY GIVE THEM WHAT THEIR SCORE IS.
21 FOR THE SUBJECTIVE, WE'RE TRYING TO THINK OF HOW TO
22 PROVIDE THAT FEEDBACK AND WHAT WOULD BE USEFUL TO
23 THEM.

24 SO WE CAN CERTAINLY PROVIDE THE SCORES AT
25 LEAST FOR THEM TO KNOW WHAT THINGS THEY SCORED WELL

BETH C. DRAIN, CA CSR NO. 7152

1 IN FOR THOSE QUESTIONS AND WHICH ONES THEY DIDN'T.
2 WE THINK THAT WOULD BE HELPFUL; BUT, AGAIN, THOSE
3 QUESTIONS ARE RELATIVE. SO IT MAY DEPEND ON HOW
4 THEY DO COMPARED TO OTHER PROJECTS. SO I THINK WE
5 CAN PROVIDE SOME FEEDBACK, BUT I DON'T KNOW HOW
6 HELPFUL IT WILL BE, BUT WE CERTAINLY WANT TO TEST IT
7 OUT AND SEE WHETHER IT IS USEFUL FOR THEM OR NOT AS
8 WE ENGAGE IN THIS PROCESS.

9 DR. MIASKOWSKI: THANKS SO MUCH, GIL.

10 CHAIRMAN IMBASCIANI: THANK YOU,
11 CHRISTINE. YSABEL.

12 MS. DURON: THANK YOU. THANK YOU, GIL,
13 FOR ALL OF THAT HARD WORK AND THINKING THAT WENT
14 INTO THIS. I AM CONCERNED GIVEN THE
15 UNDERREPRESENTATION OF RACIAL AND ETHNIC MINORITIES,
16 ORGANIZATIONS, GROUPS IN CLINICAL TRIALS, WHICH
17 WE'VE HEARD OVER AND OVER AND OVER AGAIN. I'M VERY
18 CONCERNED WHEN WE SAY PATIENT HAD AN ADEQUATE DEI
19 PLAN. TO ME THEY SHOULD HAVE A TOP LEVEL DEI PLAN
20 TO SHOW THAT THEY'RE TRULY INVESTED IN ENGAGING A
21 BROAD AND DIVERSE COMMUNITY IN THIS RESEARCH. I
22 THINK WE NEED TO USE VERY STRONG LANGUAGE. MAYBE IT
23 ISN'T THAT. I KNOW WE HAVE THAT LOVELY CHART.

24 SO BUT I'M JUST WORRIED. DEFINE, IF
25 SOMEONE READ IT, WHAT ADEQUATE MEANS.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. SAMBRANO: THAT'S A GREAT QUESTION.
2 AND I THINK PART OF WHAT WE'RE TRYING TO DO HERE IS
3 THE FIRST STEP OF WHAT WOULD BECOME A MORE IN DEPTH
4 DEI REVIEW. SO THIS IS NOT TO FUND, BUT RATHER TO
5 PRIORITIZE THOSE THAT ARE AND HAVE SHOWN A LEVEL OF
6 COMMITMENT AND EFFORT BECAUSE THERE ARE SOME
7 APPLICANTS THAT CLEARLY DON'T MAKE MUCH EFFORT IN
8 PUTTING TOGETHER THEIR PLAN. AND SO IF THEY'RE
9 GOING TO QUALIFY, FIRST, THEY HAVE TO SHOW THAT
10 THEY'RE MAKING AN EFFORT.

11 THEN, SECONDLY, ONCE IT IS ACCEPTED INTO
12 REVIEW, THEN WE ENGAGE IN THE NORMAL DEI EVALUATION
13 THAT OUR PATIENT ADVOCATE AND NURSE MEMBERS
14 PARTICIPATE IN THAT FOLLOW THE CRITERIA THAT ARE IN
15 THE RUBRIC AND SCORE THEM. SO THIS IS SORT OF
16 LAYERING SOMETHING ON TOP OF THAT THAT HELPS US
17 SELECT, HOPEFULLY, WHAT COMES IN ALREADY IS STARTING
18 ON THE RIGHT FOOT WITH DEI.

19 MS. DURON: SO AND WE'VE BEEN TALKING,
20 WE'VE HAD THIS FOR TWO YEARS AT LEAST, THE DEI
21 RUBRIC IN OUR APPLICATIONS AND IN OUR PROMOTION, I
22 WOULD THINK. SO IT SAYS TO ME THAT SOME OF THESE
23 APPLICANTS DON'T TAKE US SERIOUSLY ABOUT DEI. IF
24 THEY'RE GIVING US THESE PLANS THAT ARE INADEQUATE,
25 IT SAYS TO ME THEY ARE NOT TAKING THE ISSUE AT ALL

BETH C. DRAIN, CA CSR NO. 7152

1 SERIOUSLY. AND THEY SHOULD KNOW FROM THE GIT-GO
2 WHAT A VERY STRONG DEI PLAN LOOKS LIKE.

3 NOW, I KNOW YOU MIGHT SAY THAT THE WORK
4 ITSELF DOESN'T NECESSARILY MEAN YOU'LL HAVE A HIGH
5 NUMBER OF COMMUNITIES OF COLOR IN THIS PARTICULAR
6 DISEASE, WHATEVER. BUT WHEN WE LET THEM OFF WITH AN
7 ADEQUATE DEI PLAN AND THEY DON'T KNOW BY NOW THAT WE
8 MEAN BUSINESS WITH OUR DEI PLANS, THEN I DON'T KNOW
9 THAT THEY'RE EVER GOING TO GET IT. I REALLY WANT
10 THEM TO COME IN WORKING HARD TO SHOW THAT THEY
11 REALLY HAVE INTENTIONALITY AROUND DEI, THAT THEY
12 SHOW THE FOOTPRINT THEY'RE SERVING, THAT THEY SHOW
13 US THE PATIENTS WHO MIGHT -- THE NUMBERS OF
14 DEMOGRAPHICALLY WHO COULD BE SERVED BY THE WORK THAT
15 THEY DO, AND HOW THEY INTEND TO ENGAGE THOSE PEOPLE.
16 I KNOW THE RUBRIC HAS GOT A LOT OF STUFF.

17 BUT I'M JUST KIND OF DISAPPOINTED THAT WE
18 USE THE WORD "ADEQUATE" AND THAT THEY SEEM NOT TO BE
19 GETTING THE MESSAGE.

20 CHAIRMAN IMBASCIANI: J.T., DID YOU WANT
21 TO RESPOND DIRECTLY TO HER?

22 DR. THOMAS: YES. SO, YSABEL, I FIRST OF
23 ALL TOTALLY AGREE WITH YOU, BUT I DO WANT TO NOTE
24 THAT, AS YOU RECALL, AWHILE BACK, I THINK IT WAS
25 SOMETIME IN MID-'22, WE SENT A RECOMMENDED FOR

BETH C. DRAIN, CA CSR NO. 7152

1 FUNDING CLIN AWARD BACK NOTWITHSTANDING THAT
2 RECOMMENDATION BECAUSE DEI WASN'T HIGH ENOUGH, WHICH
3 WAS A WATERSHED MOMENT FOR US, WHICH EXACTLY
4 ADDRESSED YOUR POINT OF MAKING SURE THEY UNDERSTOOD
5 HOW SERIOUS AND FUNDAMENTAL DEI IS TO THE WHOLE
6 PROCESS.

7 I THINK WHAT YOU'VE SEEN THEN IS THE
8 SCORES, AS OF TODAY, FOR EXAMPLE, WERE WATCHING HOW
9 THESE CLIN AWARDS WERE RANKED ON A DEI BASIS ARE A
10 MAGNITUDE HIGHER THAN THEY WERE TWO, THREE YEARS
11 AGO. SO TODAY, FOR EXAMPLE, THERE WERE EIGHTS AND
12 NINES AND NINE AND A HALFS, AND I THINK THEY ARE
13 GETTING THE MESSAGE, I GUESS, IS THE POINT I WANT TO
14 MAKE. THEY CAN ALWAYS GET IT EVEN BETTER, BUT WE'VE
15 MADE MATERIAL IMPROVEMENTS. AND, GIL, IF YOU'D BACK
16 ME UP OR NOT ON THAT, I THINK THAT WE'VE BEEN VERY
17 CLEAR ABOUT HOW IMPORTANT THIS IS.

18 MS. DURON: JUST AS LONG AS WE DON'T USE
19 THE WORD "ADEQUATE."

20 CHAIRMAN IMBASCIANI: THANK YOU. PAT,
21 YOU'RE NEXT.

22 DR. LEVITT: YEAH, TWO THINGS, GIL.
23 FIRST, IF YOU ARE GOING TO HAVE A QUALIFYING SCORE
24 WITH SPECIFIC CRITERIA, OBJECTIVE CRITERIA, YOU ARE
25 GOING TO SPELL THEM OUT, BUT I REALLY FEEL STRONGLY

BETH C. DRAIN, CA CSR NO. 7152

1 FROM AN APPLICANT'S PERSPECTIVE, THERE NEEDS TO BE A
2 SECTION OF THE APPLICATION WHERE THEY SPECIFICALLY
3 ADDRESS HOW THEIR APPLICATION MEETS THOSE SPECIFIC
4 OBJECTIVE CRITERIA. OTHERWISE YOU'RE GOING TO BE
5 DIGGING. UNLESS IT ALREADY EXISTS, I NEVER APPLIED
6 FOR A CIRM GRANT AND I NEVER WILL, BUT IT NEEDS TO
7 BE A SECTION WHERE THEY EXPLICITLY ADDRESS THIS SO
8 THAT IT WILL BE MUCH EASIER FOR THE TEAM TO ASSESS,
9 AND IT WILL BE A FAIR, IT WILL BE A LEVEL PLAYING
10 FIELD IN TERMS OF THE APPLICANTS, SOME OF WHOM MAY
11 NOT REALIZE WHAT THEY NEED TO DO, WHAT THEY NEED TO
12 WRITE ABOUT SPECIFICALLY.

13 AND THEN THE OTHER THING IS THAT THE NIH
14 WENT THROUGH THIS PROCESS WHERE THEY BASICALLY
15 ALLOWED ONE RESUBMISSION AND THEN THEY SAID IT HAS
16 TO BE A COMPLETELY NEW GRANT. AND THEY STARTED WITH
17 IT CAN'T BE ON THE SAME TOPIC, THE SAME SUBJECT.
18 AND THEN, OF COURSE, AS A RESEARCHER, YOU SIT BACK
19 AND SAY, WAIT, I'M GOING TO RETOOL MY ENTIRE LAB?
20 THIS IS WHAT I KNOW HOW TO DO.

21 SO WHERE ARE THE CRITERIA THAT YOU ARE
22 GOING TO SPELL OUT FOR ONE AND TWO AND DONE? ONE
23 AND ONE AGAIN. AND THEN YOU CAN'T RESUBMIT ON THE
24 SAME TOPIC, NOT CONSIDER REVISION. SO WHAT ARE THE
25 CRITERIA THAT THE TEAM IS GOING TO USE TO SAY THIS

BETH C. DRAIN, CA CSR NO. 7152

1 IS REALLY A REVISION OR THIS IS REALLY SOMETHING
2 NEW, WHICH, AGAIN, THE NIH WENT THROUGH THIS AND
3 THEN THEY BACKPEDALED, RIGHT? THEY CREATED THE A-0.
4 THERE'S INITIAL SUBMISSION, THE A1, AND THEN IF YOU
5 DON'T GET EITHER ONE OF THOSE, YOU CAN SUBMIT AN
6 A-0. DON'T ASK ME HOW THEY EXACTLY -- THEY DEFINE
7 IT AS, OKAY, WE CONSIDER A NEW GRANT.

8 SO THOSE CRITERIA NEED TO BE SPELLED OUT
9 AS WELL FOR THE APPLICANTS. OTHERWISE, I'M ON BOARD
10 WITH THE CHANGES.

11 DR. SAMBRANO: SO TO ADDRESS YOUR FIRST
12 QUESTION, THE CRITERIA WE CHOSE ARE PRETTY PLAINLY
13 EXISTING ALREADY IN THE APPLICATION. AND WHERE IT
14 ISN'T, WE'RE MAKING SURE THAT IT IS. AND SO THAT IS
15 PART OF BEING TRANSPARENT ABOUT WHAT WE'RE ASKING
16 FOR. WE ARE ALSO DEVELOPING AN FAQ TO MAKE SURE
17 THEY UNDERSTAND WHAT THESE CRITERIA ARE. SO WHEN
18 YOU ARE APPLYING, THESE ARE THINGS YOU NEED TO KNOW
19 AND THIS IS HOW IT'S GOING TO WORK.

20 IN TERMS OF THE RESUBMISSION, JUST TO BE
21 CLEAR, IF AN APPLICATION GETS A SCORE OF 2, THAT
22 MEANS THAT THEY'RE ALLOWED THEN TO REVISE. SO
23 THEY'RE GOING TO GET EXTENSIVE COMMENTS FROM US ON
24 WHAT THEY NEED TO DO. THE GRANTS WORKING GROUP WILL
25 LOOK AT IT AGAIN. AND SO IT'S AT THAT TIME THAT

BETH C. DRAIN, CA CSR NO. 7152

1 THEN THE GRANTS WORKING GROUP NEEDS TO DECIDE DID
2 THEY DO THIS SUCCESSFULLY OR NOT. SO THEY GET A 1
3 OR A 3 RATHER THAN A 2 AGAIN, MEANING WE STILL NEED
4 YOU TO REVISE MORE. AND SO --

5 DR. LEVITT: SO IF THEY GET A 2 AGAIN,
6 THEN THEY CAN SUBMIT AGAIN. THERE'S A THIRD?

7 DR. SAMBRANO: THAT'S WHAT WE CURRENTLY
8 ALLOW.

9 DR. LEVITT: RIGHT. BUT I'M SAYING YOUR
10 CHANGE IS TO ONLY ALLOW -- NOT ONLY -- TO ALLOW AN
11 INITIAL SUBMISSION AND THEN A REVISION BASED ON
12 THEIR SCORE. AND THEN IF THEY DON'T GET SCORED FOR
13 FUNDING, THEN THEY'RE DONE?

14 DR. SAMBRANO: THEN IT'S A SCORE OF 3, AND
15 THEY CAN COME BACK IN SIX MONTHS.

16 DR. LEVITT: SCORE OF 3. BUT THEY -- I
17 GUESS I'M MISSING IT. THEY COULD GET SCORES OF 2,
18 BUT MISS THE PAYLINE, RIGHT?

19 DR. SAMBRANO: WELL, SO THERE WOULD BE NO
20 SCORE OF 2 AVAILABLE IF THIS IS ALREADY THEIR
21 RESUBMISSION.

22 DR. LEVITT: I SEE. OKAY. ALL RIGHT. SO
23 IT'S EITHER A YES OR NO?

24 DR. SAMBRANO: YES.

25 DR. LEVITT: AND THEN THEY CAN'T RESUBMIT

BETH C. DRAIN, CA CSR NO. 7152

1 AGAIN?

2 DR. SAMBRANO: FOR SIX MONTHS.

3 DR. LEVITT: YOU'RE STILL GOING TO ALLOW
4 THEM TO RESUBMIT IN SIX MONTHS?

5 DR. SAMBRANO: YES.

6 DR. LEVITT: SO HOW IS THIS REDUCING THE
7 NUMBER OF APPLICATIONS?

8 DR. SAMBRANO: IT REDUCES THE TOTAL NUMBER
9 THAT WE HAVE TO DO. JUST FOR EXAMPLE, THE
10 APPLICATIONS THAT YOU SAW TODAY IN THE LAST FEW
11 MONTHS REPRESENT OFTEN MULTIPLE RESUBMISSIONS.
12 THAT'S WHY WE -- EVEN THOUGH WE STOPPED NEW
13 APPLICATIONS IN FEBRUARY, WE'RE STILL SEEING
14 APPLICATIONS IN JUNE BECAUSE THERE'S ALWAYS
15 SOMETHING YOU CAN TWEAK. BUT WE FEEL YOU MIGHT AS
16 WELL GET IT RIGHT OR NOT. AND IF YOU CAN'T GET IT
17 RIGHT, THEN THINK ABOUT IT FOR SIX MONTHS AND COME
18 BACK THEN.

19 SO WE'RE NOT COMPLETELY DISALLOWING THEM
20 TO EVER COME BACK. WE'RE JUST GOING TO REMOVE THEM
21 FROM THE BOLUS THAT CONTINUES TO AFFECT US.

22 CHAIRMAN IMBASCIANI: IT'S GOING TO BE
23 ANNE-MARIE FOLLOWED BY FRED.

24 DR. DULIEGE: SO, GIL, MY QUESTION IS
25 GOING TO BE NAIVE, BUT I HAVE A SENSE THAT IT WILL

BETH C. DRAIN, CA CSR NO. 7152

1 BENEFIT NOT JUST ME. AND IT MAY BE BECAUSE AFTER
2 MORE THAN SIX HOURS OF MEETINGS, I DON'T FUNCTION AS
3 WELL AS I NORMALLY EVEN WITH A LOT OF COFFEE. COULD
4 YOU IN LITERALLY LESS THAN 60 SECONDS SUMMARIZE -- I
5 LISTENED VERY CAREFULLY TO YOUR PRESENTATION --
6 SUMMARIZE THE BOTTOM LINE, THE KEY TAKE-HOME
7 MESSAGES? WHAT IS GOING TO CHANGE BECAUSE I'M LOST
8 A LITTLE BIT HERE.

9 DR. SAMBRANO: SO THE MAIN THINGS THAT ARE
10 GOING TO CHANGE ARE THAT WE'RE ADDING A STEP TO WHAT
11 WE NORMALLY DO IN THE REVIEW OF AN APPLICATION,
12 WHICH IS ADDING THIS QUALIFICATION STEP. AND SO
13 THAT'S GOING TO INCREASE THE AMOUNT OF TIME FROM
14 APPLICATION SUBMISSION TO THE EARLIEST POSSIBLE
15 APPROVAL. AND THAT'S CHANGING FROM THREE MONTHS TO
16 FOUR MONTHS.

17 THE OTHER CHANGES ARE MOSTLY ON OUR PART
18 IN TERMS OF FACILITATING THAT PROCESS. BUT FOR THE
19 APPLICANTS, IT DOESN'T CHANGE MUCH OF WHAT THEY HAVE
20 TO DO OTHER THAN AN ADDITIONAL MONTH AND
21 UNDERSTANDING THAT THERE ARE THESE QUALIFYING
22 CRITERIA THAT THEY HAVE TO BE AWARE OF THAT WE'RE
23 GOING TO USE FOR THEIR APPLICATION TO QUALIFY.

24 DR. DULIEGE: BUT THE VALUE OF THE ENTIRE
25 OF THAT IS, THE VALUE OF ADDING THIS QUALIFICATION

BETH C. DRAIN, CA CSR NO. 7152

1 OF ONE MONTH IS?

2 DR. SAMBRANO: YES. AND SO ONLY THE TOP
3 FIVE ADVANCE. SO THE PROBLEM THAT WE WERE TRYING TO
4 SOLVE IS HOW TO DEAL WITH THE LARGE NUMBER. SO BY
5 QUALIFYING, IT ALLOWS US TO THEN EACH MONTH
6 BASICALLY CHOOSE THOSE THAT ARE THE TOP FIVE THAT
7 MOVE FORWARD. SO IT MEANS THAT THE STEADY STATE OF
8 APPLICATIONS THAT WE HAVE IS NEVER MORE THAN FIVE
9 NEW APPLICATIONS A MONTH.

10 DR. DULIEGE: THANK YOU VERY MUCH.

11 CHAIRMAN IMBASCIANI: THANK YOU. LISTEN,
12 I'M GOING TO -- FRED IS GOING TO HAVE A COMMENT AND
13 NOT A QUESTION, I HOPE. AND THEN I'M GOING TO ASK
14 THE BOARD'S INDULGENCE THAT WE CLOSE DEBATE ON THIS.
15 WE HAVE ANOTHER AGENDA THAT I ABSOLUTELY NEED A
16 QUORUM BEFORE 4 O'CLOCK. FRED.

17 DR. FISHER: YES, IT IS A QUESTION
18 UNFORTUNATELY AND IT'S FOR SCOTT. I WONDER IF
19 THERE'S ANOTHER MECHANISM OTHER THAN A BYLAWS
20 REVISION FOR WHAT IS ESSENTIALLY AN EXPERIMENTAL
21 PROCESS, THAT THE OUTCOME WILL BE EVALUATED AND
22 COULD CHANGE AGAIN. AND SO RATHER THAN PUT
23 OURSELVES IN A POSITION OF GOING THROUGH MULTIPLE
24 BYLAWS CHANGES AND THE BOARD SIMPLY ALLOW THIS
25 DEPARTURE FROM THE BYLAWS UNDER THESE SPECIFIC

BETH C. DRAIN, CA CSR NO. 7152

1 CIRCUMSTANCES ON A TEMPORARY BASIS. THAT'S MY
2 QUESTION FOR SCOTT.

3 MR. TOCHER: HI, FRED. THANKS FOR YOUR
4 QUESTION. YOU'RE ABSOLUTELY RIGHT. THE REASON WHY
5 IT'S AMENDMENT TO THE BYLAWS IS BECAUSE OF THE
6 DETAIL IN THE EXISTING BYLAWS THAT THESE NEW
7 PROCEDURES WOULD CONTRAVENE. SO THAT'S WHY THEY'RE
8 COMING TO YOU AS AN AMENDMENT.

9 AND I THINK -- BUT I THINK YOUR POINT IS
10 TAKEN, THAT WE COULD ALWAYS INCLUDE LANGUAGE WITHIN
11 THE AMENDMENT THAT INDICATES OR OTHERWISE PROVIDED
12 FOR SUBSEQUENTLY IN A -- I'M JUST TRYING TO THINK.
13 TYPICALLY WE HAVE TO DO BYLAWS, BUT WE COULD ALSO
14 INDICATE IN THE PROGRAM ANNOUNCEMENT WHICH DOESN'T
15 HAVE TO COME BEFORE THE BOARD. AND THEN WE CAN
16 ALWAYS BRING THAT BACK TO THE BOARD FOR
17 RATIFICATION.

18 DR. FISHER: IS THAT SIMPLER THAN WHAT
19 WE'RE DOING RIGHT NOW?

20 MR. TOCHER: WELL, IT PROVIDES A GREATER
21 DEGREE OF FLEXIBILITY, I THINK, IF THERE WERE
22 REVISIONS THAT EXPERIENCE DICTATED WERE NECESSARY.

23 MR. JUELSGAARD: CAN I MAKE A
24 RECOMMENDATION, WHICH IS THAT WE JUST DO WHAT MOTION
25 IS ON THE TABLE NOW. AND THEN IF WE DECIDE DOWN THE

BETH C. DRAIN, CA CSR NO. 7152

1 ROAD WE WANT TO CHANGE IT, WE JUST COME BACK AND
2 CHANGE, BUT NOT TRY TO FORESEE THE FUTURE, JUST DEAL
3 WITH THE ISSUE IN FRONT OF US.

4 CHAIRMAN IMBASCIANI: SO I WILL ACCEPT
5 THAT AS AN AMENDMENT.

6 MR. TOCHER: NO, NO.

7 CHAIRMAN IMBASCIANI: SEEING NO MORE BOARD
8 COMMENT, IS THERE COMMENT FROM THE PUBLIC?

9 VICE CHAIR BONNEVILLE: CAROLYN OF THE
10 BOARD FIRST.

11 CHAIRMAN IMBASCIANI: I'M SORRY, CAROLYN.

12 DR. MELTZER: I JUST WANTED TO EXPRESS
13 THAT I'M WORRIED THAT IT SEEMS LIKE PROGRAMMATIC
14 JUDGMENT BEFORE THE REVIEW PROCESS. THAT'S ALL.

15 CHAIRMAN IMBASCIANI: OKAY. PUBLIC
16 COMMENT ON THIS ISSUE?

17 MR. TOCHER: THERE IS NONE.

18 CHAIRMAN IMBASCIANI: THERE IS NONE.
19 SCOTT, PLEASE PROCEED.

20 MR. TOCHER: ALL THOSE IN THE ROOM IN
21 FAVOR SAY AYE. THOSE OPPOSED SAY NAY. ABSTENTIONS?

22 DR. MELTZER: ABSTENTION.

23 MR. TOCHER: MOHAMED ABOUSALEM.

24 DR. ABOUSALEM: YES.

25 MR. TOCHER: DAN BERNAL.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. BERNAL: AYE.
2 MR. TOCHER: MONICA CARSON.
3 DR. CARSON: YES.
4 MR. TOCHER: LEONDRA CLARK-HARVEY.
5 DR. CLARK-HARVEY: YES.
6 MR. TOCHER: MARK FISCHER-COLBRIE.
7 MR. FISCHER-COLBRIE: YES.
8 MR. TOCHER: FRED FISHER.
9 DR. FISHER: YES.
10 MR. TOCHER: RICH LAJARA.
11 MR. LAJARA: YES.
12 MR. TOCHER: LINDA MALKAS.
13 DR. MALKAS: YES.
14 MR. TOCHER: CHRISTINE MIASKOWSKI.
15 DR. MIASKOWSKI: YES.
16 MR. TOCHER: ADRIANA PADILLA.
17 DR. PADILLA: YES.
18 MR. TOCHER: JOE PANETTA. MARVIN
19 SOUTHARD.
20 DR. SOUTHARD: YES.
21 MR. TOCHER: MICHAEL STAMOS.
22 DR. STAMOS: YES.
23 MR. TOCHER: KEVIN XU.
24 DR. XU: YES.
25 MR. TOCHER: HOLD ON A SECOND. THE MOTION

BETH C. DRAIN, CA CSR NO. 7152

1 CARRIES BY ONE.

2 CHAIRMAN IMBASCIANI: THANK YOU VERY MUCH.
3 THANK YOU, GIL, FOR BOTH OF THOSE PRESENTATIONS.

4 RAFAEL TO THE PODIUM FOR CONSIDERATION OF
5 AGENDA ITEM NO. 14. THIS IS FROM THE GOVERNANCE
6 SUBCOMMITTEE REGARDING OUR COMPENSATION POLICY AND
7 OUR RELOCATION POLICY.

8 MR. AGUIRRE-SACASA: THANK YOU. THANK
9 YOU, VITO. DUE TO TIME CONSTRAINTS, WE'RE GOING TO
10 MOVE REAL QUICKLY THROUGH OUR PRESENTATION HERE.

11 HERE'S OUR MISSION. THE AGENDA IS GOING
12 TO TRY AND DISCUSS THE COMPENSATION POLICY AND THE
13 UPDATED SALARY RANGES, BUT WE'RE GOING TO LOOK AT
14 NO. 3 FIRST, THE PROPOSED 2024 MOVING AND RELOCATION
15 POLICY BECAUSE THAT ONE TAKES A LITTLE BIT MORE
16 PRIORITY TODAY.

17 WITH YOUR INDULGENCE, LET ME GET DOWN
18 THERE IF YOU DON'T MIND. OKAY. THE POLICY IS IN
19 YOUR MATERIALS; BUT AS AN INTRODUCTION, PROPOSITION
20 14 PERMITS THE REIMBURSEMENT OF MOVING AND
21 RELOCATION EXPENSES. THE POLICY THAT WE HAVE
22 PRESENTED COMPLIES WITH THE IRS RULES AND
23 REGULATIONS REGARDING THE SAME, MOVING AND
24 RELOCATION EXPENSES.

25 THE LEGAL TEAM AND THE FINANCE TEAM, AND

BETH C. DRAIN, CA CSR NO. 7152

1 I'D LIKE TO THANK POUNEH FOR THIS, CONDUCTED AN
2 ANALYSIS ON THE MOVING AND RELOCATION POLICIES FROM
3 THE UC SYSTEM AS WELL AS OTHER NON-PROFITS WHO ARE
4 QUALIFIED TO APPOINT AN EXECUTIVE OFFICER TO THE
5 ICOC. SO WE DID A SURVEY OF THE VARIOUS RELOCATION
6 AND MOVING POLICIES THERE.

7 THE POLICY ITSELF ONLY APPLIES TO LEVEL 9
8 AND 10 EMPLOYEES FOR REIMBURSEMENT AND MOVING
9 RELOCATION EXPENSES. AND THE LAST COMMENT I'D LIKE
10 TO SAY IS THAT WE BELIEVE THAT OFFERING THESE MOVING
11 AND RELOCATION EXPENSES WILL LEAD TO A MORE DIVERSE
12 AND TALENTED CANDIDATE POOL FOR EXECUTIVES WHILE IT
13 ALIGNS WITH MARKET CONDITIONS AND REALITIES.

14 SO WITH THAT, SCOTT, IF YOU DON'T MIND,
15 I'D LIKE TO PROPOSE A VOTE.

16 MR. TOCHER: YEAH. I THINK IT'S GREAT.

17 MR. JUELSGAARD: MOVE APPROVAL OF MOVING
18 AND RELOCATION.

19 DR. BLUMENTHAL: SECOND.

20 VICE CHAIR BONNEVILLE: IS THERE ANY BOARD
21 MEMBER COMMENT?

22 MR. TOCHER: ANY PUBLIC COMMENT? ALL
23 THOSE IN THE ROOM IN FAVOR SAY AYE. THOSE OPPOSED
24 NAY. ANY ABSTENTIONS? POLL THOSE ON THE PHONE.

25 MOHAMED ABOUSALEM.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. ABOUSALEM: YES.
2 MR. TOCHER: DAN BERNAL.
3 MR. BERNAL: AYE.
4 MR. TOCHER: JUDY CHOU. LEONDRA
5 CLARK-HARVEY.
6 DR. CLARK-HARVEY: AYE.
7 MR. TOCHER: MARK FISCHER-COLBRIE.
8 MR. FISCHER-COLBRIE: YES.
9 MR. TOCHER: FRED FISHER.
10 DR. FISHER: YES.
11 MR. TOCHER: RICH LAJARA.
12 MR. LAJARA: YES.
13 MR. TOCHER: LINDA MALKAS.
14 DR. MALKAS: YES.
15 MR. TOCHER: CHRISTINE MIASKOWSKI.
16 DR. MIASKOWSKI: YES.
17 MR. TOCHER: ADRIANA PADILLA.
18 DR. PADILLA: YES.
19 MR. TOCHER: JOE PANETTA. MARVIN
20 SOUTHARD.
21 DR. SOUTHARD: YES.
22 MR. TOCHER: MICHAEL STAMOS.
23 DR. STAMOS: YES.
24 MR. TOCHER: KEVIN XU.
25 DR. XU: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. TOCHER: MONICA CARSON.

2 DR. CARSON: YES.

3 GREAT. THANK YOU. THE MOTION CARRIES.

4 MR. AGUIRRE-SACASA: THANK YOU, EVERYBODY.

5 NOW WE HAVE CAUGHT UP A LITTLE BIT, SO WE'LL TAKE A

6 LITTLE BIT MORE TIME HERE ON THE COMPENSATION

7 POLICY. I'LL MOVE RELATIVELY QUICKLY.

8 THE 2024 COMPENSATION POLICY IS CONSISTENT

9 WITH THE TERMS OF THE 2015 COMPENSATION POLICY AND

10 MARKET PRACTICES. ONE OF THE GOALS OF THE 2024

11 POLICY IS TO CLARIFY CERTAIN COMPENSATION PRACTICES

12 AND ADD RIGOR TO OUR CHECKS AND BALANCES. ONE THING

13 THAT WE'RE CALLING OUT SPECIFICALLY HERE IS THAT WE

14 ARE REQUESTING THAT THE CHAIR, VICE CHAIR, AND

15 PRESIDENT AND CEO BE INCLUDED IN COST OF LIVING

16 INCREASES ALONG WITH THE REST OF STAFF. CURRENTLY

17 THESE POSITIONS ARE NOT INCLUDED FOR SUCH COLA

18 ADJUSTMENTS.

19 WE'RE ALSO GOING TO LOOK AT SOME UPDATED

20 SALARY CHANGES THAT WERE INCLUDED IN THE MATERIALS.

21 AS A POINT OF REFERENCE, MORGAN HR, WHICH WAS OUR HR

22 CONSULTANT, PERFORMED A MARKET ANALYSIS IN 2022 OF

23 CIRM'S THEN EXISTING POSITION SALARIES AND PROPOSED

24 UPDATES TO THE SAME. THESE UPDATES WERE APPROVED BY

25 THE ICOC IN JUNE OF 2022 FOR LEVELS 1 THROUGH 8 AND

BETH C. DRAIN, CA CSR NO. 7152

1 IN SEPTEMBER OF '22 FOR LEVELS 9 AND 10. WHILE THE
2 MORGAN HR REVIEW AND ANALYSIS WAS COMPREHENSIVE, OUR
3 HR TEAM IS CONSTANTLY DOING AN ANALYSIS, AND THEY
4 FELT THAT WE STILL NEEDED TO ADDRESS A COUPLE OF
5 ISSUES DEALING WITH EMPLOYEE DEVELOPMENT
6 OPPORTUNITIES FOR CAREER DEVELOPMENT AND SALARY
7 COMPACTION ISSUES.

8 BY SALARY COMPACTION, WE MEAN THE
9 COMPRESSION OF SALARY DIFFERENTIALS BETWEEN
10 EMPLOYEES AT DIFFERENT LEVELS OR DIFFERENT
11 DEPARTMENTS.

12 AND SO WE ARE RECOMMENDING THAT THE SALARY
13 RANGES BE ADJUSTED AS PRESENTED TO AVOID SALARY
14 COMPACTION ISSUES AND FACILITATE UPWARD
15 PROMOTABILITY AND PROFESSIONAL DEVELOPMENT FOR OUR
16 EMPLOYEES, THAT WE ADDED CERTAIN POSITIONS TO PERMIT
17 CAREER ADVANCEMENT FOR THE SAME EMPLOYEES, AND AS I
18 MENTIONED BEFORE, THE INCORPORATION OF COST OF
19 LIVING INCREASES THAT WERE APPROVED BY THE ICOC IN
20 2023 FOR ALL STAFF AS WELL AS THE COST OF LIVING
21 ADJUSTMENTS BEING CONSIDERED BY THE ICOC IN 2024,
22 WHICH WOULD INCLUDE THE THREE LEADERSHIP POSITIONS.

23 ONE FOLLOW-UP QUESTION WAS -- THAT WE
24 RECEIVED FROM THE GOVERNANCE SUBCOMMITTEE WAS WITH
25 RESPECT TO SOME SALARY PERCENTILES AND HOW THEY WERE

BETH C. DRAIN, CA CSR NO. 7152

1 CURRENTLY SPREAD, DISTRIBUTED THROUGH THE VARIOUS
2 LEVELS. SO AS A FOLLOW-UP, I WOULD LIKE TO THANK
3 CLAUDETTE AND DENISE DANIEL FOR PREPARING THIS SLIDE
4 HERE WHICH EXPLAINS LEVELS 4 THROUGH 9 AND THE
5 SALARY DISTRIBUTION BY PERCENTAGES. THE DARK BLUE
6 IS ANYONE WHO IS IN THE FIRST QUARTILE, ORANGE IS
7 SECOND QUARTILE, GREEN IS THIRD QUARTILE, AND THE
8 LIGHT BLUE OR TURQUOISE IS ANYONE IN THE 76 TO A
9 HUNDREDTH QUARTILE.

10 MR. JUELSGAARD.

11 MR. JUELSGAARD: WITH THAT, I WOULD LIKE
12 TO MAKE A MOTION.

13 MR. TOCHER: IS THERE A MOTION?

14 MR. JUELSGAARD: MOVE APPROVAL OF THE
15 MOVING AND RELOCATION POLICY. NO, WE'VE DONE THAT.
16 BACK TO THE ONE REGARDING SALARIES. THERE IT IS. I
17 MOVE THE APPROVAL OF THE UPDATED SALARY RANGE
18 CONSIDERATIONS UNDER 3 ON THIS SLIDE.

19 CHAIRMAN IMBASCIANI: STEVE MOVED. AND
20 THE POLICY.

21 DR. GASSON: SECOND.

22 CHAIRMAN IMBASCIANI: DISCUSSION FROM
23 BOARD MEMBERS ON THE COMPENSATION POLICY? OR FROM
24 MEMBERS OF THE PUBLIC? HEARING NONE, SCOTT.

25 MR. TOCHER: EXCEPT FOR VITO IMBASCIANI

BETH C. DRAIN, CA CSR NO. 7152

1 AND MARIA BONNEVILLE, ALL THOSE IN THE ROOM IN FAVOR
2 SAY AYE. THOSE OPPOSED SAY NAY. ANY ABSTENTIONS?
3 ON THE PHONE: MOHAMED ABOUSALEM.
4 DR. ABOUSALEM: YES.
5 MR. TOCHER: DAN BERNAL.
6 MR. BERNAL: AYE.
7 MR. TOCHER: MONICA CARSON.
8 DR. CARSON: YES.
9 MR. TOCHER: LEONDRA CLARK-HARVEY.
10 DR. CLARK-HARVEY: YES.
11 MR. TOCHER: MARK FISCHER-COLBRIE.
12 MR. FISCHER-COLBRIE: YES.
13 MR. TOCHER: FRED FISHER.
14 DR. FISHER: YES.
15 MR. TOCHER: RICH LAJARA.
16 MR. LAJARA: YES.
17 MR. TOCHER: LINDA MALKAS.
18 DR. MALKAS: YES.
19 MR. TOCHER: CHRISTINE MIASKOWSKI.
20 DR. MIASKOWSKI: YES.
21 MR. TOCHER: ADRIANA PADILLA.
22 DR. PADILLA: YES.
23 MR. TOCHER: MARVIN SOUTHARD.
24 DR. SOUTHARD: YES.
25 MR. TOCHER: MICHAEL STAMOS.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. STAMOS: YES.

2 MR. TOCHER: AND KEVIN XU.

3 DR. XU: YES.

4 MR. TOCHER: THANKS VERY MUCH.

5 CHAIRMAN IMBASCIANI: THANK YOU. THAT'S
6 GREAT.

7 SO WE'RE GOING TO MOVE NOW, ROSA, CAN I
8 INVITE YOU TO COME TO THE PODIUM? THIS IS FOR
9 AGENDA ITEM NO. 18. EVERYTHING IS IMPORTANT ON THE
10 AGENDA. THIS IS VERY IMPORTANT.

11 DR. CANET-AVILES: EVERYTHING IS IMPORTANT
12 TO ME. I HAVE SO MANY THINGS TO SAY. HOPEFULLY
13 I'LL REMEMBER THEM ALL. A LOT OF THE COMMENTS THAT
14 WERE BEING MADE ARE RELATED TO THE DISCUSSIONS THAT
15 THE TEAM HAS BEEN HAVING WITH THE BOARD AND AMONGST
16 US IN RELATION TO THE STRATEGIC ALLOCATION
17 FRAMEWORK.

18 SO, MR. CHAIRMAN, MADAM VICE CHAIR,
19 DISTINGUISHED MEMBERS OF THE BOARD, AND MY ESTEEMED
20 COLLEAGUES AND THE PUBLIC, I AM GOING TO PRESENT NOW
21 AN OVERVIEW OF THE STRATEGIC ALLOCATION FRAMEWORK,
22 ESPECIALLY WITH REGARDS TO THE NEW MEMBERS OF THE
23 BOARD AND ALSO TO THE OBJECTIVE OF TODAY IS TO
24 PROVIDE AN UPDATE ON WHAT'S COMING IN THE LAST
25 TRANCHE, THE LAST THIRD OF THE TIMELINE EFFORT.

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

(PAUSE.)

DR. CANET-AVILES: WE HAD SOME MISBEHAVIOR GOING ON. WITH THAT SAID, LET ME SEE HERE. SO, AGAIN, THE GOAL THAT WE HAVE RIGHT NOW IS TO PROVIDE AN OVERVIEW AND WHAT'S COMING FROM NOW TILL SEPTEMBER AND WHEN THE BOARD WILL BE INTERACTING WITH US. HARES, IF YOU WANT TO MAKE THEM A BIT SMALLER, THAT WOULD BE WONDERFUL. THANK YOU SO MUCH FOR YOUR HELP. THERE'S SO MANY PEOPLE HELPING.

SO THIS SLIDE PROVIDES AN OVERVIEW OF THE STRUCTURE OF TODAY'S PRESENTATION. AND YOU CAN TRACK WHERE WE ARE BY LOOKING AT THE TOP RIGHT SIDE OF THE SCREEN WHERE IT TELLS YOU WHICH SECTION WE ARE ON FOR FACILITY OF FOLLOWING.

IN TERMS OF BACKGROUND AND CONTEXT, THE STRATEGIC ALLOCATION FRAMEWORK IS ORGANIZED, IS PRESENTED IN THE CONTEXT OF OUR FIVE-YEAR STRATEGIC PLAN, WHICH IS ORGANIZED IN THESE THREE THEMES: THE ADVANCE WORLD-CLASS SCIENCE, DELIVERY AND REAL-WORLD SOLUTIONS, AND PROVIDE OPPORTUNITY FOR ALL. SO WE ARE NOT SAYING ANYTHING NEW. WE ARE JUST PROVIDING MORE GRANULARITY IN TERMS OF WHAT'S THE IMPACT THAT WE WANT TO HAVE WITH CIRM, NOT ONLY NOW, BUT IN THE LONG TERM. RIGHT.

OVER THE PAST 17 YEARS, CIRM HAS BEEN AT

BETH C. DRAIN, CA CSR NO. 7152

1 THE FOREFRONT OF THE STEM CELL AND REGENERATIVE
2 MEDICINE FIELD. AND THE INSTITUTE HAS BEEN
3 INSTRUMENTAL IN ESTABLISHING OURSELVES AS A LEADER
4 IN STEM CELL REGENERATIVE MEDICINE AND FUNDING BASIC
5 RESEARCH THROUGH OUR DISCOVERY PROGRAMS, ALSO
6 INFRASTRUCTURE. WE'VE HEARD ABOUT THE ALPHA STEM
7 CELL CLINICS, THE MANUFACTURING THIS MORNING, THE
8 SHARED RESOURCE LABS, AND OTHER PROGRAMS, AS WELL AS
9 EDUCATION AND TRAINING PROGRAMS THAT ARE ACTUALLY
10 ONE OF OUR STRONGEST INITIATIVES THAT HAVE HAD A
11 REALLY STRONG IMPACT IN WORKFORCE DEVELOPMENT IN
12 CALIFORNIA. AND THE CLINICAL DEVELOPMENT WITH 106
13 CLINICAL TRIALS THAT WE HAVE AT THE MOMENT.

14 SINCE OUR INCEPTION, AND WE HEARD IT TODAY
15 AND WE SAW IT THROUGH THE TRANSLATIONAL COMMENTS,
16 THE FIELD HAS GROWN EXPONENTIALLY. HOWEVER, WE HAVE
17 FINITE RESOURCES. AND WE'VE SEEN IT THROUGH THE
18 CLINICAL FLOW CONTROL THAT WE'VE HAD TO DO. WE'VE
19 HAD AN INPUT OF MANY, MANY APPLICATIONS, AND THERE
20 IS A LOT OF DEMAND ALSO IN TRANSLATIONAL. SO THERE
21 IS OBVIOUSLY A LOT OF DEMAND, AND DEMAND FOR FUNDING
22 EXCEEDS THE AVAILABLE RESOURCES.

23 THE NEXT COUPLE OF SLIDES ARE BASICALLY A
24 BIT MORE DETAIL ON WHAT THIS SLIDE SAYS IN TERMS OF
25 HOW THE REGENERATIVE MEDICINE LANDSCAPE HAS BEEN

BETH C. DRAIN, CA CSR NO. 7152

1 ADVANCING AND THEN WHERE ARE WE WITH FUNDS THAT
2 WE'VE ALREADY SEEN. AND THIS IS ALSO A LOT FOR
3 BENEFIT OF OUR NEW MEMBERS OF THE BOARD.

4 SO AS WE KNOW, THE LANDSCAPE OF
5 REGENERATIVE MEDICINE IS EXPERIENCING A PROFOUND AND
6 RAPID EXPANSION. THE DATA SHOWN HERE IS FROM THE
7 ALLIANCE FOR REGENERATIVE MEDICINE ANNUAL DATA
8 REPORT OF 2002 AS WELL AS THE AMERICAN SOCIETY FOR
9 GENE AND CELL THERAPIES, ASCGT, QUARTERLY REPORT OF
10 2021. AND THIS SLIDE UNDERSCORES, IT HAS VERY SMALL
11 GRAPHICS, BUT THE MAIN POINT HERE IS THAT THERE HAS
12 BEEN AN EXPONENTIAL GROWTH THAT WE'VE WITNESSED IN
13 THE SECTOR SINCE 2005.

14 ON THE LEFT, THE FIRST CHART ILLUSTRATES
15 THE STEEP INCREASE IN PUBLICATION RELATED TO STEM
16 CELLS, GENE THERAPY, AND CELL THERAPY. THE LITTLE
17 BUMP AT THE END WAS DUE TO COVID. THERE'S BEEN AN
18 EXPONENTIAL INCREASE SINCE 2005. AND THEN IN THE
19 MIDDLE AND RIGHT GRAPHS, WHAT WE CAN SEE IS THE
20 EXPANDING PIPELINES FOR GENE AND CELL THERAPIES,
21 NON-GENETICALLY MODIFIED CELL THERAPY PIPELINES
22 CORRESPONDINGLY. AND EACH BAR REPRESENTS A SNAPSHOT
23 OF ACTIVE PROGRAMS AND REFLECT NOT ONLY THE
24 INITIATION OF THE CLINICAL IN PHASE 1, BUT ALSO THE
25 PROGRESSION FOR ADVANCED CLINICAL TESTING.

BETH C. DRAIN, CA CSR NO. 7152

1 THIS LANDSCAPE BRINGS US TO THE FOREFRONT
2 OF A COMPELLING NARRATIVE, WHICH IS THAT THE FIELD
3 OF REGENERATIVE MEDICINE IS NOT JUST GROWING, BUT
4 IT'S THRIVING AT A PACE THAT REQUIRES A STRATEGIC
5 AND THOUGHTFUL ALLOCATION OF FUNDS. NOTHING NEW
6 THAT I'M SAYING. WE JUST HEARD IT. THIS IS JUST
7 ANOTHER EXAMPLE.

8 SO THE SLIDE HERE PROVIDES WHERE WE ARE IN
9 TERMS OF A SNAPSHOT OF THE FUNDS. MY COLLEAGUE,
10 VICE PRESIDENT OF OPERATIONS, JEN LEWIS, PROVIDED US
11 WITH AN UPDATE ON OUR BUDGET. AND CURRENTLY WE HAVE
12 A TOTAL RESEARCH BUDGET FROM PROP 71 AND 14 THAT
13 CORRESPONDS TO \$7.64 BILLION. THIS IS THE NET OF
14 OPERATIONAL AND COMPLIANCE OVERSIGHT COSTS FROM THE
15 8.5 BILLION THAT WERE INITIALLY ALLOCATED BY BOTH
16 PROPOSITION 71 AND 14, RESPECTIVELY.

17 IN TERMS OF CURRENT FUND ALLOCATION, AS OF
18 APRIL OF 2024, CIRM HAS A REMAINING BALANCE OF 3.86
19 BILLION OF WHICH, AND I'VE HAD A COUPLE OF QUESTIONS
20 ABOUT THAT, AND THIS IS EXCLUDING THE EXPENDING AND
21 SCHEDULED PAYMENTS AND APPROVED ALLOCATIONS. FOR
22 THIS WE HAVE TWO EARMARKS THAT WE NEED TO ALLOCATE.
23 ONE IS FOR NEURO RESEARCH, \$1.14 BILLION, AND THE
24 OTHER IS THE ACCESS AND AFFORDABILITY, WHICH IS 94
25 MILLION.

BETH C. DRAIN, CA CSR NO. 7152

1 WHEN WE ARE TALKING ABOUT THE STRATEGIC
2 ALLOCATION FRAMEWORK, WE ARE TALKING ABOUT THE 3.86
3 BILLION. HOWEVER, THE NEURO TASK FORCE HAS THE
4 GUIDANCE THAT THE NEURO TASK FORCE IN COLLABORATION
5 WITH THE SCIENCE SUBCOMMITTEE WILL HAVE WILL BE IN
6 THE CONTEXT OF THE \$1.14 BILLION. AND THE
7 ACCESSIBILITY AND AFFORDABILITY WORKING GROUP ARE
8 INFORMING THE STAFF OF CIRM WITH REGARDS TO THE 94
9 MILLION. BUT EVERYTHING REALLY INTEROPERATES AND
10 FEEDS FROM EACH OTHER.

11 NOW, WHERE DOES THE MANDATE FOR THE
12 STRATEGIC ALLOCATION FRAMEWORK COME FROM? THE
13 MANDATE FOR THE STRATEGIC ALLOCATION FRAMEWORK
14 ORIGINATED IN 2023 AT THE SCIENCE SUBCOMMITTEE OF
15 SEPTEMBER. AT THAT TIME BOARD MEMBER MARK
16 FISCHER-COLBRIE PROVIDED KICKOFF DISCUSSION AROUND
17 WHAT WE CALLED AT THE TIME PRIORITIZATION. AND THE
18 OUTCOME OF THAT MEETING WAS THAT THE BOARD ASKED
19 CIRM STAFF TO DEVELOP AN APPROACH AND
20 RECOMMENDATIONS FOR PRIORITIZATION.

21 NOW, SINCE MARCH OF -- WELL, ACTUALLY BY
22 THEN, AROUND JANUARY/FEBRUARY, WE STARTED TO DEVELOP
23 A PLAN FOR THE STRATEGIC ALLOCATION FRAMEWORK. AND
24 AT THE MARCH 2024 SCIENCE SUBCOMMITTEE AND AT THE
25 ICOC, WE PRESENTED THE FIRST PLAN FOR THE STRATEGIC

BETH C. DRAIN, CA CSR NO. 7152

1 ALLOCATION FRAMEWORK. AND WE'VE CONTINUED THE
2 PROCESS WITH SEPTEMBER OF 2024 AS THE TARGET FOR
3 RECOMMENDATIONS.

4 SO WHEN WE PRESENTED IN MARCH, THE BOARD
5 SAID, YES, WE LIKE THIS AND WE WOULD LIKE FOR YOU TO
6 KEEP GOING AND PRESENT TO US. AT THAT TIME WE TOLD
7 THE BOARD THAT WE WERE COMING AT THE JUNE MEETING,
8 AND WE WERE GOING TO PROVIDE AN UPDATE WHICH IS
9 WHERE WE ARE TODAY.

10 NOW, WHAT IS THE STRATEGIC ALLOCATION
11 FRAMEWORK? THAT IS A STRUCTURED AND DATA DRIVEN
12 APPROACH TO PRIORITIZE RESOURCE ALLOCATION AND
13 PROVIDE RECOMMENDATIONS TO THE INDEPENDENT CITIZENS
14 OVERSIGHT COMMITTEE FOR CONTINUED IMPLEMENTATION OF
15 OUR STRATEGIC PLAN.

16 SO WHAT IS THE PROCESS FOR THIS STRATEGIC
17 ALLOCATION FRAMEWORK? THE FIRST THING THAT WE HAD
18 TO DO IS TO ASK OURSELVES WHAT ARE THE HIGHEST LEVEL
19 QUESTIONS. THIS SHOULD HAVE BEEN DONE PROBABLY A
20 LITTLE EARLIER, BUT WE ARE ASKING THIS NOW. WHAT
21 CAN CIRM MAKE -- HOW CAN CIRM MAKE THE GREATEST
22 IMPACT ON ITS MISSION? AND DERIVED FROM THAT HOW
23 MIGHT CIRM EFFECTIVELY ALLOCATE ITS REMAINING BUDGET
24 OF \$3.86 BILLION AND WITHIN THAT HOW WE WILL
25 EFFECTIVELY -- HOW MIGHT WE EFFECTIVELY ALLOCATE OUR

BETH C. DRAIN, CA CSR NO. 7152

1 REMAINING NEURO BUDGET OF \$1.14 BILLION, WHICH IS IN
2 THE REMIT OF THE NEURO TASK FORCE.

3 SO THIS SLIDE PROVIDES A REMINDER OF THE
4 PROCESS THAT OUR TEAM IS INTEGRALLY INVOLVED IN FOR
5 THE STRATEGIC ALLOCATION FRAMEWORK. AND THE BOARD
6 HAS DONE AND CONTINUES TO DO A LOT OF ITS HEAVY
7 LIFTING FOR THIS STRATEGIC ALLOCATION FRAMEWORK
8 THROUGH THE SCIENCE SUBCOMMITTEE AND THE NEURO TASK
9 FORCE, AS WELL AS THE ACCESSIBILITY AND
10 AFFORDABILITY WORKING GROUPS.

11 IN THE COMING MONTHS, COMING TO SEPTEMBER
12 ICOC IN WHICH WE WILL BE PRESENTING THE FINAL
13 RECOMMENDATIONS, THE BOARD, THROUGH THESE THREE
14 BODIES, WILL BE ACTIVELY COLLABORATING WITH US AND
15 PROVIDING FEEDBACK ON THIS TILL WE MEET IN
16 SEPTEMBER. AND THIS IS CONSISTENT WITH THE WAY THAT
17 WE HAVE DEVELOPED POLICIES AND OTHER PROCESSES SO
18 FAR.

19 IT IS A HEAVY LIFT, BUT IS ENTIRELY
20 KEEPING ON WITH THE WAY THAT WE DO THINGS AND WE
21 HAVE DONE THINGS SO FAR. SO WHAT DOES THE PROCESS
22 CONSIST IN? THE FIRST THING THAT WE DID WAS DEFINE
23 THE IMPACT GOALS WHICH ARE MEASURABLE SUCCESS
24 METRICS. THESE GOALS ARTICULATE THE DESIRED
25 OUTCOMES AND MILESTONES THAT WE AIM TO ACHIEVE,

BETH C. DRAIN, CA CSR NO. 7152

1 ENSURING THAT EVERY DOLLAR ALLOCATED MOVES US CLOSER
2 TO OUR VISION.

3 THESE GOALS WERE FRAMED IN THE CONTEXT OF
4 FOUR CATEGORIES. AND FOLLOWING THE MANDATE TO
5 DEVELOP A PRIORITIZATION STRATEGY, THE CIRM TEAM
6 DISTILLED FOUR CATEGORIES THAT WE WILL GO OVER. AND
7 THOSE CATEGORIES ARE ALIGNED WITH THE EXISTING
8 STRATEGIC PLAN AND PROP 14'S AREAS OF FOCUS. IT'S
9 IMPORTANT TO RECOGNIZE THAT SOME OF THE GOALS AS
10 INITIALLY DEFINED ARE EVOLVING THROUGH THE PROCESS,
11 AND THAT'S WHY WE WERE USING CATEGORIES AT THE
12 BEGINNING, BUT WE WERE RECOMMENDED THAT WE ALSO
13 START TALKING ABOUT THE SPECIFIC GOALS. THAT'S WHY
14 WE HAVE A DRAFT AND IT SAYS "UNDER REVISION" IN THE
15 SLIDEDeck THAT YOU WILL HAVE AVAILABLE ON OUR
16 WEBSITE.

17 SO FROM THE GOALS, WE WENT TO THE GUIDING
18 QUESTIONS. WHAT ARE THE GUIDING QUESTIONS THAT BY
19 ANSWERING WE WILL BE ABLE TO MAKE RECOMMENDATIONS TO
20 ACHIEVE THOSE GOALS? AND THEN WE DERIVED WHAT DATA
21 WE NEEDED TO COLLECT IN ORDER TO ANSWER THOSE
22 QUESTIONS. OBVIOUSLY, THIS IS A VERY VAST EFFORT
23 THAT HAS MANY MEMBERS OF OUR CIRM TEAM INVOLVED,
24 COLLABORATING AS WELL WITH CONSULTANTS OUTSIDE OF
25 CIRM. AND PART OF THIS HAS ALSO INVOLVED, AS YOU

BETH C. DRAIN, CA CSR NO. 7152

1 KNOW FROM THE NEURO TASK FORCE AND SCIENCE
2 SUBCOMMITTEE MEETING, A NEURO SURVEY THAT HELPED
3 WITH OUR RECOMMENDATIONS AS WELL.

4 SO THE PROCESS IS BASICALLY ONCE WE HAVE
5 THIS DATA COLLECTED, WE WILL BE REVISING THE IMPACT
6 GOALS. AND THEN WE GO BACK TO SOMETIMES COLLECT
7 MORE DATA. SO WITH THIS, THIS IS WHAT WE WILL BRING
8 TO THE BOARD, THE SCIENCE SUBCOMMITTEE, AND NEURO
9 TASK FORCE, AND ACCESSIBILITY AND AFFORDABILITY
10 WORKING GROUP FOR DISCUSSION IN THE UPCOMING
11 MEETINGS.

12 NOW, WE WILL BE VERY DENSE, AND I'M GOING
13 TO TALK ABOUT A LITTLE BIT HOW ARE WE GOING TO DO
14 THAT IN THE NEXT FEW SLIDES.

15 SO THESE ARE THE CATEGORIES, AS I
16 MENTIONED, FOLLOWING THE MANDATE TO DEVELOP THIS
17 PRIORITIZATION STRATEGY. WE DISTILLED THE FOLLOWING
18 FOUR CATEGORIES THAT ARE COMPLETELY ALIGNED WITH OUR
19 EXISTING STRATEGIC PLAN AND PROP 14'S AREAS OF
20 FOCUS. AND THEN AFTER WE DEVELOPED THIS, WE STARTED
21 THINKING ABOUT WHAT WERE THE IMPACT GOALS IN EACH
22 ONE OF THEM THAT WE NEEDED TO DEFINE IN ORDER TO
23 ACHIEVE OUR MISSION AND HAVE OUR MOST IMPACT.

24 SO HERE ARE THE IMPACT GOALS. AND, AGAIN,
25 THEY ARE STILL UNDER DRAFT, AND THAT'S WHY WE HAVE

BETH C. DRAIN, CA CSR NO. 7152

1 "DRAFT" ON THE REVIEW. SO THE FIRST, AND BASICALLY
2 THIS IS OUR WORKING HYPOTHESIS, THIS SET OF GOALS IS
3 OUR WORKING HYPOTHESIS. AND WE ARE PRESENTING THEM
4 TO YOU TODAY AS PART OF THE DISCUSSION OF THE
5 PROCESS THAT WE ARE UNDERTAKING. AND WE HAVE A
6 SOFTWARE UPDATE. AND JUST TO GIVE AN INDICATIVE
7 IDEA OF WHAT WE ARE THINKING AND WHAT WE'LL BE
8 COMING TO YOU WITH IN THE NEXT FEW MEETINGS.

9 SO THE FIRST MEETING, WHICH IS SCHEDULED
10 FOR SEPTEMBER -- SORRY -- JULY 11TH, WHICH IS IN A
11 COUPLE OF WEEKS, WE ARE GOING TO FOCUS ON THE FIRST
12 TWO GOALS THAT ARE WITHIN THE REMIT OF THE
13 ACCELERATING DISCOVERY AND TRANSLATION. THE FIRST
14 GOAL, WE'VE HEARD ABOUT THIS BECAUSE IT ALREADY CAME
15 OUT OF THE SURVEY FROM NEURO. AND WE'VE CONFIRMED
16 WITH INDUSTRY MEMBERS THAT THIS IS ONE OF THE MAIN
17 FOCUS, THAT THERE IS A NEED FOR INVESTMENT, IS TO
18 CATALYZE IDENTIFICATION AND VALIDATION OF NOVEL
19 TARGETS AND BIOMARKERS, NEW DISEASE MECHANISMS TO
20 FURTHER DIG DOWN INTO THE DISEASE MECHANISMS NOT
21 ONLY FOR NEURO, BUT ALSO FOR OTHER DISEASES. AND
22 ENSURE INTEGRATION OF THIS INTO PRECLINICAL OR
23 CLINICAL RESEARCH FOR DISEASE IN CALIFORNIA. THAT
24 MEANS COMMON DISEASES AS WELL AS RARE DISEASES.

25 AND THIS COMES BACK TO A QUESTION THAT

BETH C. DRAIN, CA CSR NO. 7152

1 FRED FISHER, BOARD MEMBER FRED FISHER, ASKED THE
2 IMPACT OF CIRM. WE ARE SUPPOSED TO ACCELERATE
3 CURES. OUR MANDATE IS TO PROVIDE CURES TO
4 CALIFORNIA. YES, THAT'S OUR MANDATE, BUT SOME OF
5 THOSE CURES WILL BE THROUGH THE CELL AND GENE
6 THERAPY. SOME OF THEM WILL BE BY LEVERAGING STEM
7 CELLS AND GENETIC RESEARCH THAT HELPS US ACCELERATE
8 THE PATH TO THINGS THAT WE WOULD NEVER GET TO. AND
9 THAT WILL AFFECT COMMON AND PREVALENT DISEASES
10 BECAUSE COMMERCIALIZATION OF PREVALENT DISEASES IS
11 NOT GOING TO BE SOMETHING THAT CIRM CAN DO ON ITS
12 OWN. SO THAT'S WHY WE NEED TO HAVE THIS KIND OF
13 IMPACT GOAL.

14 THE SECOND ONE IS ACCELERATE THE
15 DEVELOPMENT AND UTILIZATION OF TECHNOLOGIES THAT
16 DEMONSTRATE IMPROVEMENTS IN SAFETY, EFFICACY, AND
17 QUALITY OF CELL AND GENE THERAPIES. THIS IS GOING
18 TO HAVE TO DO WITH THE TRANSLATIONAL BOTTLENECKS,
19 DELIVERY OF CELL THERAPIES, IMMUNE CLOAKING, AND
20 OTHERS THAT WE'VE BEEN HEARING. IN ORDER TO HAVE
21 SUCCESS IN MANY OF THESE THERAPIES, YES, WE DO NEED
22 BIOMARKERS, BUT WE ALSO NEED CERTAIN TECHNOLOGIES TO
23 MAKE SURE THAT WE CAN GET TO COMMERCIALIZE AND
24 ACCESS OF THESE THERAPIES SUCCESSFUL TO THE
25 PATIENTS.

BETH C. DRAIN, CA CSR NO. 7152

1 NOW, THE NEXT ONE IS THE GOAL THAT WILL
2 COME IN AUGUST. THE AUGUST NEURO TASK FORCE AND
3 SCIENCE SUBCOMMITTEE WILL BE FOCUSED ON THE GOALS
4 WITHIN THE CATEGORY OF CELL AND GENE THERAPY
5 APPROVALS. THESE TWO GOALS ARE, ONE, IS ADVANCE AT
6 LEAST X RARE DISEASE PROJECTS TO BLA. THE X WILL BE
7 DEFINED TOGETHER WITH THE BOARD. AND THE SECOND, WE
8 HAVE IT AS PROPEL X THERAPIES TARGETING DISTINCT
9 PREVALENT DISEASES IN CALIFORNIA TO LATER STAGE
10 TRIALS, INCLUDING NEUROLOGICAL CONDITION, TO
11 SIGNIFICANTLY REDUCE MORBIDITY AND MORTALITY. WE
12 MIGHT SHORTEN THE GOAL. WE MIGHT JUST REMOVE
13 PREVALENT BECAUSE REALLY THIS HAS TO DO MORE WITH
14 TRANSLATIONAL BOTTLENECKS AS WELL, AND WE MIGHT BE
15 PERTINENT TO BOTH RARE AND PREVALENT. BUT THOSE ARE
16 THE GOALS THAT WE COULD HAVE WITHIN THE CATEGORY OF
17 CELL AND GENE THERAPY APPROVALS.

18 THE NEXT ONE IS THE ONE THAT HAS BEEN
19 UNDER WORK IN COLLABORATION WITH THE ACCESSIBILITY
20 AND AFFORDABILITY WORKING GROUP. OBVIOUSLY, IF WE
21 ARE DEVELOPING THESE THERAPIES, WE WANT TO ENSURE
22 THAT EVERY CIRM-FUNDED PROJECT COMPLETING A LATER
23 STAGE CLINICAL TRIAL HAS A STRATEGY THAT ENABLES
24 ACCESS AND AFFORDABILITY BY ALL CALIFORNIA PATIENTS,
25 PARTICULARLY UNDERSERVED POPULATIONS.

BETH C. DRAIN, CA CSR NO. 7152

1 AND THE LAST ONE IS OUR DIVERSE WORKFORCE
2 DEVELOPMENT, PROVIDING OPPORTUNITY FOR ALL. WE
3 HAVE, AS JEN LEWIS MENTIONED THIS MORNING, WE HAVE
4 INVESTED NEARLY HALF A BILLION DOLLARS IN OUR
5 EDUCATION PROGRAMS. IN PROP 14 WE INITIATED THE
6 NEXT ROUND OF ALL THE PROGRAMS FOR FIVE YEARS, BUT
7 OBVIOUSLY THIS WILL BE COMING TO AN END IN THE NEXT
8 COUPLE OF YEARS AT DIFFERENT MOMENTS. AND WE NEED
9 TO MAKE SURE THAT WE ENHANCE THE INTEGRATION AND
10 REAL-WORLD APPLICATION OF THESE TRAINING PROGRAMS
11 THROUGH STRATEGIC PARTNERSHIPS AND REFORMULATE
12 RECOMMENDATIONS THAT WILL ALLOW US TO DO THAT.

13 NOW, RIGHT NOW WE ARE HERE UNDER THE
14 6/27/24 ICOC. MY COLLEAGUE, DR. GIL SAMBRANO, HAS
15 PRESENTED ON THE FLOW CONTROL EVALUATION. AND MY
16 COLLEAGUES, JEN LEWIS AND POUNEH SIMPSON, HAVE
17 PROVIDED THE INTERIM RESEARCH BUDGET AND THE FULL
18 OPERATIONS BUDGET AS WE PREDICTED THAT WOULD HAPPEN
19 BACK IN MARCH. AND NOW WE ARE COMING TO YOU TO
20 PROVIDE YOU WITH AN UPDATE OF MORE SPECIFICS OF WHAT
21 OUR GOING TO BE MEETINGS CONTAINING WHICH IS WHAT I
22 JUST TALKED ABOUT BETWEEN NOW AND SEPTEMBER. SO
23 WE'VE BEEN COLLECTING DATA AND ANALYZING.

24 AND ON THE JULY MEETING, 7/11, WE WILL BE
25 COMING FOR THE FIRST TWO GOALS. I'M ACTUALLY GOING

BETH C. DRAIN, CA CSR NO. 7152

1 TO MOVE FORWARD TO THE NEXT SLIDES BECAUSE THE NEXT
2 SLIDES HAVE A BIT BETTER. SO ONCE WE COME IN
3 SEPTEMBER WITH THE RECOMMENDATIONS, BETWEEN
4 SEPTEMBER AND DECEMBER, WE WILL DEVELOP AND AMEND
5 CONCEPTS THAT WILL BE REFLECTING THE
6 RECOMMENDATIONS. IN SOME CASES WE WILL HAVE TO
7 DEVELOP A NEW CONCEPT. IN SOME CASES WE WILL HAVE
8 TO AMEND SOME CONCEPTS.

9 NOW, WHEN ARE WE GOING TO DO THIS AND HOW
10 WE ARE GOING TO DO IT IS THE NEXT STEPS, THE
11 TIMELINE AND NEXT STEPS SLIDE. RIGHT NOW WE ARE THE
12 JUNE ICOC. GOALS 1 AND 2 WILL BE COMING AT THE JULY
13 11TH NEURO TASK FORCE/SCIENCE SUBCOMMITTEE. AND WE
14 WILL REVIEW RELEVANT DATA ASSOCIATED WITH THOSE
15 GOALS AND DISCUSS POTENTIAL RECOMMENDATIONS FOR GOAL
16 1 AND 2.

17 NOW, WE HAVE A VERY SPECIFIC FRAME FOR
18 THESE DISCUSSIONS. THE WAY WE ARE GOING TO PRESENT
19 IT IS WE WILL PROVIDE A QUICK BACKGROUND, AND THEN
20 WE WILL PROVIDE A SLIDE THAT CONTAINS THE GOAL, THE
21 QUESTIONS THAT WE DEVELOPED, AND THEN A SUMMARY OF
22 THE DATA THAT WE HAVE COLLECTED. AND WITH THAT WE
23 WILL GO INTO THE SPECIFIC RECOMMENDATIONS.

24 THERE WILL BE FOUR OR FIVE VERY DENSE
25 SLIDES. AND THEN WE WILL HAVE A DISCUSSION. AND WE

BETH C. DRAIN, CA CSR NO. 7152

1 WILL HAVE A LOT OF APPENDICES THAT WILL CONTAIN DEEP
2 DIVES SO THAT THE BOARD, WE CAN GO INTO THAT BECAUSE
3 WE THOUGHT, AND IF YOU THINK THAT THAT'S NOT THE WAY
4 YOU WANT TO WORK, WE ARE HAPPY TO CHANGE THAT, BUT
5 WE THOUGHT IT COULD BE BETTER TO FOCUS THIS WAY. A
6 LOT OF THE QUESTIONS CAME TODAY. WE WERE TALKING
7 ABOUT COMMERCIALIZATION VIABILITY AND CREDIBLE PATH
8 TO MARKET FOR CELL AND GENE THERAPIES. ALL THESE
9 KIND OF QUESTIONS AND THE ANALYSIS FOR EACH ONE OF
10 THE DISEASES WILL BE PROVIDED WHEN WE DISCUSS THIS
11 DURING THOSE MEETINGS.

12 SO THE FIRST ONE WILL BE GOAL 1 AND 2 THAT
13 HAVE TO DO WITH DISCOVERY AND EARLY TRANSLATION.
14 THE SECOND ONE WILL BE IN AUGUST WHERE WE WILL BE
15 TALKING ABOUT GOALS 3 AND 4 AND DISCUSS THE
16 ASSOCIATED DATA, RARE DISEASES, AND PARTICULARLY WE
17 WILL BE DISCUSSING AT A VERY HIGH LEVEL WHAT IS THE
18 PLAN FOR OPTIMIZING THE INVESTMENT OF CIRM WITH RARE
19 DISEASES. DR. CREASEY AND HER TEAM HAVE BEEN
20 WORKING VERY HARD, AS DR. JONATHAN THOMAS WAS
21 MENTIONING THIS MORNING, INTO A RARE DISEASE
22 STRATEGY. WE WILL NOT PRESENT THE STRATEGY. THE
23 STRATEGY COULD BE COMING LATER WITH RECOMMENDATIONS,
24 IF APPROVED, BUT WE WILL BE TALKING ABOUT HIGH LEVEL
25 THE RATIONALE FOR THAT AND WHAT WE ARE PROPOSING.

BETH C. DRAIN, CA CSR NO. 7152

1 THEN WE WILL GO INTO GOAL 5, WHICH IS THE
2 ACCESSIBILITY AND AFFORDABILITY WORKING GROUP IN
3 AUGUST AS WELL. AND THEN IN SEPTEMBER WE HAVE ALL
4 THE GOALS FROM 1 TO 6 PRESENTED AT THE NEURO TASK
5 FORCE/SCIENCE SUBCOMMITTEE COMBINED MEETING, WHICH I
6 BELIEVE IS SEPTEMBER 13TH, CLAUDETTE. THE SEPTEMBER
7 NEURO TASK FORCE/SCIENCE SUBCOMMITTEE SEPTEMBER
8 13TH, RIGHT? AND THEN THE SEPTEMBER ICOC, WE WILL
9 BE COMING WITH THE OVERALL PRESENTATION THAT WILL
10 HAVE BEEN PRESSURE TESTED THROUGH ALL THESE MEETINGS
11 AND WILL CONTAIN ALL THE FEEDBACK RECEIVED FROM THE
12 DIFFERENT MEMBERS OF THE BOARD AT THE SEPTEMBER
13 ICOC. AND HOPEFULLY BY THEN WE WILL HAVE QUITE A
14 BIT -- WE WILL HAVE A WELL WORKED OUT SET OF
15 RECOMMENDATIONS AND AN IDEA OF THE TIMELINE AND HOW
16 TO IMPLEMENT THEM MOVING FORWARD.

17 AND WITH THAT, I WOULD LIKE TO OPEN THIS
18 FOR QUESTIONS. AND I WOULD LIKE TO THANK ALL OF YOU
19 FOR LISTENING SO PATIENTLY.

20 CHAIRMAN IMBASCIANI: ROSA, THAT WAS A
21 WONDERFUL PRESENTATION. THANK YOU SO MUCH.
22 COMMENTS FROM BOARD MEMBERS? ANNE-MARIE.

23 DR. DULIEGE: ROSA, I'M VERY SORRY. THANK
24 YOU FOR A REALLY GOOD PRESENTATION. WE'RE NOT DOING
25 JUSTICE TO YOU AND YOUR WORK AND THE WORK OF YOUR

BETH C. DRAIN, CA CSR NO. 7152

1 TEAM IN THAT, BEING AT THE END OF THE DAY, I AM
2 QUITE WORN OUT AND IT'S SUPER LATE. SO LET ME JUST
3 SAY QUICKLY TWO THINGS. THANK YOU TO YOU. THANK
4 YOU TO THE ENTIRE CIRM TEAM FOR AN IMMENSE SET OF
5 PRESENTATION, HIGH QUALITY.

6 JUST BEFORE I RUSH OUT OF THE DOOR, J.T.,
7 WE HAVE HAD THE PLEASURE OF HAVING YOU AS THE HEAD
8 OF THE ICOC AND AS INTERIM HEAD OF THE CIRM. MY
9 UNDERSTANDING IS THAT IT'S LIKELY TO BE YOUR LAST
10 BOARD MEETING; IS THAT RIGHT? IS THAT CORRECT?

11 DR. THOMAS: YES. THIS IS MY LAST BOARD
12 MEETING.

13 DR. DULIEGE: SO I WOULD NEVER WAN TO
14 LEAVE WITHOUT THANKING YOU AGAIN. I THINK YOU
15 DESERVE A LOT OF APPLAUSE.

16 (APPLAUSE.)

17 DR. CANET-AVILES: I WANT TO ADD THAT ALL
18 THIS COULD NOT HAVE BEEN POSSIBLE WITHOUT THE
19 LEADERSHIP OF J.T. SO THANK YOU, J.T. OBVIOUSLY,
20 ALL MY COLLEAGUES, BECAUSE I WAS PRESENTING ON
21 BEHALF OF MY COLLEAGUES, THANK YOU.

22 DR. THOMAS: ROSA, I THINK IT'S WORTH
23 NOTING THAT THE FACT THAT YOU ARE NOT GETTING A LOT
24 OF COMMENTS IS IN LARGE PART A REFLECTION OF THE
25 FACT THAT THIS HAS BEEN BROUGHT TO THE VARIOUS

BETH C. DRAIN, CA CSR NO. 7152

1 SUBCOMMITTEES NUMEROUS TIMES BEFORE THIS MEETING.
2 SO MOST PEOPLE HAVE ALREADY HEARD ALL OF THIS, BUT I
3 WANT TO CONGRATULATE YOU AND SARA. ARE YOU OUT
4 THERE?

5 DR. CANET-AVILES: AND THOMAS AND SHYAM AS
6 WELL, THEY'VE BEEN VERY INTEGRALLY INVOLVED. I WANT
7 TO THANK THEM. SARA HAS BEEN INSTRUMENTAL, THOMAS
8 ALSO. YOU GUYS SHOULD STAND UP BECAUSE YOU'VE DONE
9 AN AMAZING JOB AND YOU ARE STILL DOING.

10 (APPLAUSE.)

11 DR. CANET-AVILES: SHYAM HAS BEEN LEADING
12 ALL THE EXTERNAL DATA COLLECTION. SO I JUST WANT TO
13 MAKE SURE, AND OBVIOUSLY JEN AND GIL AND J.T. AND
14 ABLA, EVERYBODY.

15 DR. THOMAS: ALL THE MEMBERS OF THE LT
16 INCLUDING GEOFF.

17 MR. TOCHER: IF THERE IS NO MORE BOARD
18 COMMENT -- SORRY. WE HAVE PUBLIC COMMENT
19 AFTERWARDS.

20 DR. GASSON: I JUST WANT TO THANK ROSA AND
21 ADD MY COMMENTS TO ANNE-MARIE'S. I DON'T THINK
22 THERE'S ANYTHING WE COULD BE DOING RIGHT NOW THAT
23 COULD BE MORE IMPORTANT THAN THIS PARTICULAR
24 PROCESS. OUR TIME AND OUR RESOURCES ARE LIMITED. I
25 THINK IT'S SO IMPORTANT THAT WE GATHER THE DATA THAT

BETH C. DRAIN, CA CSR NO. 7152

1 YOU'RE TALKING ABOUT AND ASK THE HARD QUESTIONS.
2 WHERE CAN CIRM DO SOMETHING THAT NOBODY ELSE CAN DO?
3 I THINK WE'VE DEMONSTRATED LARGELY THROUGH
4 LEADERSHIP, ABLA'S AND SO THROUGH THE NEUROSCIENCE
5 TASK FORCE, THAT WE CAN ASK AND ANSWER THOSE
6 QUESTIONS. AND I'M REALLY EXCITED ABOUT THE FUTURE
7 FOR THIS ORGANIZATION AND THE IMPACT THAT WE CAN
8 HAVE IN UNMET MEDICAL NEEDS. THIS IS JUST A HUGE,
9 HUGE EFFORT, AND I JUST WANT TO THANK ALL OF YOU,
10 J.T., THE STAFF, YOU, ROSA FOR UNDERTAKING THIS.
11 THIS IS JUST SO CRITICALLY IMPORTANT, AND I'M
12 HONORED TO BE A VERY SMALL PART OF IT. SO THANK
13 YOU.

14 DR. CANET-AVILES: THANK YOU, JUDY. AND
15 THANK YOU TO YOU BECAUSE WITHOUT YOU, WE COULD NOT
16 HAVE STARTED THE NEURO TASK FORCE. AND IT STARTED A
17 LOT OF THIS, SO THANK YOU, JUDY.

18 CHAIRMAN IMBASCIANI: OKAY. ANY OTHER
19 COMMENT?

20 DR. CANET-AVILES: SO WE WILL LEAVE THE
21 SEPTEMBER RECOMMENDATIONS FOR THE LAST PART OF THE
22 DAY. LOOKS LIKE AN EFFICIENT TIME. WE'LL DO THAT.

23 MR. TOCHER: WE'LL HAVE GIL GO BEFORE YOU.

24 DR. CANET-AVILES: IN THE MIDDLE.

25 CHAIRMAN IMBASCIANI: SO I CAN PROCEED NOW

BETH C. DRAIN, CA CSR NO. 7152

1 TO ASK GEORGE BLUMENTHAL --

2 MR. TOCHER: WAIT. WAIT ONE SECOND. I
3 UNDERSTAND THAT THERE'S PUBLIC COMMENT ON THE ITEM.

4 CHAIRMAN IMBASCIANI: DO I NEED IT IF THIS
5 IS NOT A MOTION TO VOTE?

6 MR. TOCHER: IT DOESN'T MATTER. WE TAKE
7 PUBLIC COMMENT ON THE ITEMS. IS THERE PUBLIC
8 COMMENT? IF NOT, THEN LET'S JUST MOVE ON.

9 MR. REED: MY NAME IS DON REED.

10 MR. TOCHER: GO AHEAD, DON. YOU HAVE
11 THREE MINUTES.

12 MR. REED: TO HONOR CIRM ON ITS 20TH
13 ANNIVERSARY. THE CALIFORNIA INSTITUTE FOR
14 REGENERATIVE MEDICINE, CIRM, IS THE EMBODIMENT OF A
15 GREAT DREAM, THAT CURES MAY COME. BOTH FROM THE
16 CITIZENS INITIATIVE, PROPOSITION 71, CIRM EXPRESSES
17 THE WILL OF CALIFORNIA, THAT REGENERATIVE MEDICINE
18 SHOULD FIGHT CHRONIC DISEASE AND DISABILITY.

19 ONE JOYOUS VICTORY WAS OVER SEVERE
20 COMBINED IMMUNE DEFICIENCY, SCID, AS IN THE JOHN
21 TRAVOLTA, "BOY IN A PLASTIC BUBBLE." TRAGICALLY THE
22 REAL LIFE BOY BEHIND THAT MOVIES DIED. BUT THANKS
23 TO CIRM-FUNDED THERAPY, 50 CHILDREN HAVE NOW
24 SURVIVED THAT DISEASE. THE SAME THERAPY THAT HELPED
25 KIDS WITH SCID MAY ONE DAY DEFEAT THE AGONIZING

BETH C. DRAIN, CA CSR NO. 7152

1 BLOOD DISEASE, SICKLE CELL ANEMIA. BUT WE WANT
2 MORE.

3 WE WANT THE BLIND TO LOOK AT BIRDS AND SEE
4 EACH FEATHER, FOR THOSE WITH HEARING DEFICIENCIES TO
5 FULLY COMPREHEND THE CONVERSATION, FOR MISSING
6 CARTILAGE TO BE REPLACED INSIDE ARTHRITIC JOINTS,
7 FOR DAMAGED HEARTS TO BE REPAIRED LIKE PATCHING A
8 BICYCLE TIRE, THAT CIRM-ENHANCED THERAPIES MAY ONE
9 DAY LET CANCER BE EATEN BY THE BODY'S OWN DEFENSE
10 SYSTEM. NEURO DISORDERS LIKE HUNTINGTON'S DISEASE
11 OR SCHIZOPHRENIA ARE BEING CHALLENGED RIGHT NOW TO
12 FIGHT THAT DEVASTATION. AND PARALYSIS WITH WHICH MY
13 BRAVE SON ROMAN HAS SUFFERED 30 YEARS, THANKS TO
14 STEM CELLS, YOUNG MEN AND WOMEN HAVE REGAINED UPPER
15 BODY STRENGTH AND CONTROL.

16 CIRM THERAPIES WILL BENEFIT PATIENTS IN
17 THIS LIFETIME AND ON A PERMANENT BASIS. WE TREASURE
18 THE MEMORY OF PROP 71 AND BOB KLEIN, THE MAN WHO
19 BEGAN IT. CIRM IS HIS LEGACY AND OURS, A LIVING
20 LEGACY DEVELOPING NEW THERAPIES AND CURES. WE HONOR
21 CIRM'S STRUGGLE, ITS EMBATTLED PAST, GATHERING
22 HUNDREDS OF THOUSANDS OF SIGNATURES JUST TO GET ON
23 THE BALLOT, EARNING THE VOTES TO PASS AND RENEW
24 FUNDING FOLLOWING, AS WE INHALED BREATH, THAT
25 CLINICAL TRIALS GOING ON RIGHT NOW AND GLORIOUS

**133 HENNA COURT, SANDPOINT, IDAHO 83864
208-920-3543 DRAIBE@HOTMAIL.COM**

BETH C. DRAIN, CA CSR NO. 7152

1 TOMORROW WHICH OUR SCIENTISTS ARE BUILDING TODAY.

2 THE CALIFORNIA INSTITUTE FOR REGENERATIVE
3 MEDICINE, CONGRATULATIONS ON YOUR 20TH ANNIVERSARY.
4 MILLIONS MAY NEVER KNOW YOUR NAME, BUT WE DO. ON
5 BEHALF OF ALL WHO SUFFER NOW YET LIVE IN HOPE THAT
6 CURE MAY COME, THANK YOU.

7 CHAIRMAN IMBASCIANI: THANK YOU SO MUCH
8 FOR THOSE REMARKS. I'M TURNING NOW TO PEOPLE THAT
9 ARE IN THE ROOM. THANK YOU FOR YOUR PRESENCE.

10 MS. NYE: HELLO AND THANK YOU, CIRM, FOR
11 HAVING US HERE TODAY. MY NAME IS KIM NYE, AND I'M A
12 MOTHER OF FOUR CHILDREN WITH TWO AFFECTED BY RARE
13 AND NEUROLOGICAL DISEASE. MY CHILDREN ARE FIFTH
14 GENERATION CALIFORNIANS, AND MY FAMILY IS DEEPLY
15 CONCERNED ABOUT THE IMPACT OF RARE DISEASES ON
16 FAMILIES AND ON OUR STATE'S HEALTHCARE SYSTEM.

17 RARE DISEASES AFFECT ONE IN TEN
18 CALIFORNIANS, TOTALING APPROXIMATELY 3 MILLION
19 RESIDENTS. INCLUDING THEIR FAMILIES, THIS REACHES 9
20 MILLION CALIFORNIANS EMOTIONALLY, PHYSICALLY, AND
21 FINANCIALLY. I ENCOURAGE EVERYONE TO READ THE
22 LETTER RARE DISEASE ADVOCATES SUBMITTED TO CIRM,
23 WHICH WAS SIGNED BY MORE THAN A THOUSAND
24 CALIFORNIANS IN ONLY A FEW DAYS.

25 WHEN OUR DAUGHTER TESSA WAS BORN, WE

BETH C. DRAIN, CA CSR NO. 7152

1 THOUGHT WE WERE HAVING A HEALTHY BABY. BUT TESSA
2 BEGAN HAVING UNEXPLAINED SEIZURES SHORTLY AFTER
3 BIRTH. WE SAW HER DIAGNOSIS SHIFT FROM BENIGN
4 SEIZURES TO CATASTROPHIC EPILEPSY. WE TRIED DOZENS
5 OF MEDICATIONS IN DIFFERENT COMBINATIONS, BUT THEY
6 ALL FAILED TESSA FOR MORE THAN A DECADE. SHE WAS
7 HAVING HUNDREDS OF SEIZURES A DAY, AND WE HAD NO
8 IDEA WHY.

9 I DROPPED OUT OF GRADUATE SCHOOL TO BE
10 WITH TESSA DURING HER LONG HOSPITAL STAYS, HER NEVER
11 ENDING DOCTOR'S APPOINTMENTS, AND SEEMINGLY
12 DIAGNOSTIC ODYSSEY. TEN YEARS LATER, OUR LIVES WERE
13 FOREVER CHANGED AGAIN WHEN TESSA'S BABY BROTHER
14 COLTON ALSO BEGAN SEIZING SHORTLY AFTER HE WAS BORN.

15 COLTON'S BIRTH MADE THE MEDICAL PUZZLE
16 CLEARER. AND THE GENETICS RESEARCHER, NOW AT RADY
17 CHILDREN'S HOSPITAL IN SAN DIEGO, IDENTIFIED THE
18 GENETIC MARKER FOR THE DISEASE, SLC13A5. SLC13A5
19 EPILEPSY AFFECTS CHILDREN WORLDWIDE WITH THOUSANDS
20 OF SEIZURES, DEVELOPMENTAL DELAY, AND AN INABILITY
21 TO SPEAK MORE THAN A FEW WORDS.

22 TRAPPED IN THEIR BODIES, THEY REQUIRE
23 24-HOUR LIFELONG CARE, INCLUDING EXTENSIVE MEDICAL
24 AND EDUCATIONAL SUPPORTS. CHILDREN WITH RARE
25 NEUROLOGICAL DISEASES ARE HEAVY USERS OF

BETH C. DRAIN, CA CSR NO. 7152

1 CALIFORNIA'S HEALTHCARE SYSTEM, COSTING HUNDREDS OF
2 THOUSANDS OF DOLLARS YEARLY PER PERSON THROUGH
3 MEDI-CAL AND OTHER STATE SERVICES. THIS BURDEN CAN
4 BE ALLEVIATED BY FINDING EFFECTIVE TREATMENTS,
5 ENABLING INDEPENDENCE, AND REDUCING RELIANCE ON
6 SPECIALIZED CARE.

7 IN 2015 OUR FAMILY FOUNDED TESS RESEARCH
8 FOUNDATION TO ACCELERATE RESEARCH AND THERAPY
9 DEVELOPMENT. COLLABORATING WITH RESEARCHERS,
10 CLINICIANS, AND FAMILIES, WE'VE MADE SUBSTANTIAL
11 PROGRESS DERIVING OVER \$2.5 MILLION IN RESEARCH,
12 PARTNERING TO COLLECT, ANALYZE, AND SHARE DATA, AND
13 FUNDING THE DEVELOPMENT OF A PROMISING GENE THERAPY.

14 THIS THERAPY AIMS TO BENEFIT ALL AFFECTED
15 CHILDREN, NOT JUST MINE. THIS IS NOT AN N OF 1
16 MEDICATION. AND BY INVESTING IN THE INITIAL
17 DEVELOPMENT AND DERISKING THE PROGRAM, WE WERE ABLE
18 TO PARTNER WITH A DRUG COMPANY TO PRODUCE A CLINICAL
19 TRIAL READY DRUG. BUT WHEN THE BIOTECH MARKETS
20 FALTERED A FEW YEARS AGO, OUR PROGRAM AND
21 PROSPECTIVE CLINICAL TRIALS WERE ABANDONED. TO BE
22 CLEAR, IT WAS ABANDONED BY BIOTECH DUE TO FUNDING,
23 NOT DUE TO THE SCIENCE.

24 CIRM SUPPORT IS CRUCIAL FOR ADVANCING OUR
25 CLINICAL TRIALS. RARE DISEASES, LIKE SLC13A5

BETH C. DRAIN, CA CSR NO. 7152

1 EPILEPSY, ARE LIFELONG, LIFE-LIMITING, AND LIFE
2 ENDING. SO THE RISK BENEFIT PROFILE WEIGHS IN FAVOR
3 OF TRIALING GENETIC THERAPIES TO IMPROVE OUTCOMES
4 AND REDUCE LIFELONG HEALTHCARE COSTS. DOING NOTHING
5 IS DOING HARM. BUT WE PARENTS CANNOT FUND CLINICAL
6 TRIALS WITH BAKE SALES AND LEMONADE STANDS.
7 CALIFORNIA'S INVESTMENT IN RESEARCH MADE OUR THERAPY
8 POSSIBLE. CONTINUING THIS SUPPORT IS ESSENTIAL FOR
9 OUR CHILDREN AND FAMILIES. PLEASE CONTINUE TO
10 SUPPORT FUNDING FOR RARE NEUROLOGICAL DISEASES IN
11 CALIFORNIA. THANK YOU.

12 MS. SON-RIGBY: HELLO. THANK YOU FOR THE
13 OPPORTUNITY TO SPEAK. I'M CHARLENE SON-RIGBY, THE
14 CEO OF GLOBAL GENES. WE ARE A PATIENT ADVOCACY
15 ORGANIZATION THAT WORKS ACROSS RARE DISEASES, AND WE
16 HAVE A MEMBERSHIP OF OVER 750 PATIENT ADVOCACY
17 GROUPS.

18 I'M ALSO THE MOM OF A GIRL WHO HAS A VERY
19 RARE, DEBILITATING NEUROGENETIC DISORDER CALLED
20 SXTBP1-RELATED DISORDER. MY DAUGHTER TURNED 11 ON
21 MONDAY. AND SHE WAS DIAGNOSED WHEN SHE WAS THREE
22 YEARS OLD. AT THAT TIME THERE WERE 200 PATIENTS IN
23 THE WORLD AND ONLY A HANDFUL OF RESEARCHERS. SO WE
24 STARTED THE SXTBP1 FOUNDATION WITH FIVE OTHER
25 FAMILIES. AND THREE OF US ARE BASED IN CALIFORNIA.

BETH C. DRAIN, CA CSR NO. 7152

1 SO IN SIX YEARS WE'VE GONE FROM ZERO
2 THERAPIES IN PIPELINE TO 15 IN PRECLINICAL
3 DEVELOPMENT. AND WE'VE IDENTIFIED OVER A THOUSAND
4 PATIENTS. AND THIS IS A STORY I HEAR AGAIN AND
5 AGAIN IN MY WORK IN GLOBAL GENES. AND AS YOU JUST
6 HEARD FROM KIM NYE, ADVOCATES ARE THE ONES WHO ARE
7 KICK-STARTING RESEARCH AND DRIVING RESEARCH PROGRESS
8 IN THEIR DISORDERS.

9 NOW, WHY IS THIS? ONLY 5 PERCENT OF RARE
10 DISEASES, AS YOU KNOW, HAVE AN APPROVED TREATMENT,
11 AND THERE IS A TREMENDOUS UNMET NEED. THERE ARE
12 OVER 10,000 RARE DISEASES, AND MANY OF THESE
13 DISEASES, AS WE GO FROM RARE TO ULTRA RARE TO
14 EXTREMELY RARE, HAVE ONLY 50 PATIENTS OR 2,000
15 PATIENTS DIAGNOSED. AND IT'S EXTREMELY DIFFICULT TO
16 GET BIOPHARMA OR ANY COMMERCIAL INTEREST IN A
17 DISEASE ESPECIALLY IF THERE'S NO FOUNDATIONAL
18 RESEARCH OR POTENTIAL THERAPIES TO LICENSE WHERE
19 THERE HAS BEEN SOME DERISKING.

20 PATIENTS, RARE PATIENTS, AND ADVOCACY
21 GROUPS ARE CURRENTLY RAISING MONEY THROUGH BAKE
22 SALES, CAR WASHES, SOCIAL MEDIA TO FUND RESEARCH.
23 AND IN FACT, IN CALIFORNIA, GLOBAL GENES HAS 62
24 MEMBER PATIENT ADVOCACY ORGANIZATIONS, AND 77
25 PERCENT OF THEM RANK RESEARCH IN THEIR TOP THREE

BETH C. DRAIN, CA CSR NO. 7152

1 PRIORITIES. SO THESE GROUPS ARE FUNDING A LOT OF
2 RESEARCH, AND THEY CANNOT DO THIS ALONE. THEY
3 CANNOT SHOULDER THIS BURDEN ALONE.

4 CIRM IS A KEY SOLUTION HERE, ENABLING
5 PATIENT ADVOCACY GROUPS AND RESEARCHERS TO ACTUALLY
6 PARTNER TO TACKLE DEVELOPING THESE URGENTLY NEEDED
7 TREATMENTS. AND THIS IS ONE WHERE ADVOCATES AND
8 ADVOCACY GROUPS ARE NOT THEN SHOULDERING ALL OF THE
9 BURDEN. AS A GOVERNMENT FUNDER, CIRM ALSO HAS THE
10 POTENTIAL TO MITIGATE SIGNIFICANT INEQUITIES THAT
11 HAPPEN TODAY BECAUSE PATIENT AND PATIENT ADVOCACY
12 GROUPS WITH THE RESOURCES ARE USUALLY ONES LED BY
13 FAMILIES IN HIGH SOCIOECONOMIC CATEGORIES.

14 IT'S WORTH NOTING THAT 80 PERCENT OF RARE
15 DISORDERS ARE CAUSED BY SPECIFIC GENETIC CONDITIONS.
16 AND THESE ARE MOST EFFECTIVELY ADDRESSED BY THE
17 TYPES OF MEDICAL INTERVENTIONS THAT CIRM WAS
18 DESIGNED TO FUND. AND WITH ONE IN TEN CALIFORNIANS
19 HAVING A RARE DISEASE, THERE'S SIGNIFICANT POTENTIAL
20 TO IMPROVE THE LIVES ACROSS A LARGE PART OF THE
21 POPULATION.

22 SO I'M ASKING PLEASE CONTINUE TO FUND RARE
23 AND ORPHAN DISEASE RESEARCH AS OUTLINED IN CIRM'S
24 BYLAWS. CALIFORNIA'S RARE FAMILIES URGENTLY NEED
25 EFFECTIVE THERAPIES. THANK YOU.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. GRAGLIA: HELLO. MY NAME IS MIKE
2 GRAGLIA. THANK YOU FOR THE OPPORTUNITY TO SPEAK.
3 I'VE BEEN A CALIFORNIAN MOST OF MY LIFE, AND I LOVE
4 THIS STATE FOR MANY REASONS, INCLUDING THE FACT WE
5 ARE LEADERS IN THIS NATION.

6 MY SON TONY IS TEN. HE WAS DIAGNOSED WITH
7 SYNGAP1 AT STANFORD AT THE AGE OF FOUR. MY WIFE AND
8 I, REALIZING HOW LITTLE WAS UNDERSTOOD ABOUT THE
9 RARE NEUROLOGICAL DISEASE, CREATED THE SYNGAP
10 RESEARCH FUND TO ACCELERATE RESEARCH. I LEFT THE
11 PAID WORKFORCE TO LEAD THIS EFFORT FOR THE PAST FIVE
12 YEARS.

13 SRF, A CALIFORNIA BASED 501(C)(3), HAS
14 COMMITTED \$6 MILLION TO SCIENCE AND RESEARCH IN AS
15 MANY YEARS. MULTIPLE GRANTEES FROM STANFORD, DAVIS
16 AND USC HAVE APPLIED TO CIRM FOR SUPPORT, BUILDING
17 ON EARLY WORK THAT OUR FAMILY SUPPORTED.

18 THIS DISEASE IS NOT LIFE-LIMITING, THANK
19 GOD. BUT IT MEANS THAT TONY WILL COST THE STATE
20 TREASURY VIA MEDI-CAL AND HIS REGIONAL CENTER FOR
21 THE REST OF HIS LIFE. THE FINANCIAL, EMOTIONAL,
22 PHYSICAL, AND CAREER BURDEN ON THE FAMILY IS SIMPLY
23 OVERWHELMING.

24 WHILE WE HAVE ONLY 500 DIAGNOSED PATIENTS
25 STATESIDE, OUR PREDICTED INCIDENCE IS SIX PER

BETH C. DRAIN, CA CSR NO. 7152

1 100,000. WE ARE RADICALLY UNDERDIAGNOSED. THE KIDS
2 WITHOUT THE GENETIC DIAGNOSIS THAT HAVE SYNGAP COST
3 THE STATE EVEN MORE THAN MY SON. AS AN ADDITION TO
4 THE EXTENSIVE CARE THEY REQUIRE, THEY ARE ON A
5 DIAGNOSTIC ODYSSEY. THERE ARE NO THERAPIES TODAY
6 FOR SYNGAP1, BUT YOU CAN FIND A PIPELINE ON MY
7 WEBSITE, CURESYNGAP1.ORG, WHERE WE DESCRIBE A DOZEN
8 ASO'S OR CELL AND GENE THERAPIES THAT ARE UNDER
9 DEVELOPMENT.

10 LIKE MY COLLEAGUES AND HEROES, KIM AND
11 CHARLENE, I URGE YOU TO RE-COMMIT TO RARE DISEASE
12 AND READ CAREFULLY THE LETTER THAT WAS SIGNED BY
13 OVER A THOUSAND PEOPLE. I HAVE THREE SPECIFIC
14 POINTS. AS CIRM SEEMS TO BE MOVING AWAY FROM RARE
15 TOWARDS LARGER INDICATIONS LIKE ALZHEIMER'S TYPE 2,
16 MS, AND ALS, ALREADY INCREDIBLY WELL FUNDED BY NIH
17 AND INDUSTRY, MAYBE THE ASSUMPTION IS THAT OUR
18 PATIENTS ARE TOO UNIQUE, TOO FEW TO MERIT SUCH
19 INVESTMENT.

20 INDEED, I ARGUE THE OPPOSITE IS TRUE.
21 THEY ARE THE BEST DEAL IN TOWN. DUE TO HIS SYNGAP1
22 INSUFFICIENCY, MY SON HAS EPILEPSY, AUTISM,
23 INTELLECTUAL DELAY, DISTURBED SLEEP, AND SEVERE
24 BEHAVIORS. THAT'S JUST MY TOP FIVE OF HIS 20 ICD10
25 CODES.

BETH C. DRAIN, CA CSR NO. 7152

1 BY UNDERSTANDING AND TREATING
2 SYNGAP1-RELATED DISORDERS, WE ARE LEARNING ABOUT ALL
3 OF THESE TERRIBLE CONDITIONS. THREE MILLION
4 CALIFORNIANS WITH RARE DISEASE AFFECTING 9 MILLION
5 FAMILIES IS SCARY ENOUGH, BUT HOW MANY CALIFORNIANS
6 HAVE EPILEPSY OR ID, AUTISM? SYNGAP1 IS ONE OF THE
7 MOST HIGHLY ASSOCIATED AUTISM GENES. WE'RE ALSO
8 ASSOCIATED WITH SCHIZOPHRENIA. BY TACKLING THESE
9 SPECIFIC MONOGENIC INDICATIONS, WE GET A WINDOW INTO
10 ALL OF THESE SYMPTOMS THAT THESE CHILDREN HAVE.

11 ALSO I'VE HEARD A LOT ABOUT DEI TODAY.
12 YOU MUST APPRECIATE THAT RARE NEUROLOGICAL DISEASES
13 ARE OFTEN DE NOVO AND, IN FACT, HAVE ETHNICITIES AND
14 INCOME LEVELS WITHOUT DISCRIMINATION. WITH THAT
15 SAID, THE BURDEN FOR DISEASES THAT DOCTORS HAVEN'T
16 HEARD ABOUT AND ARE NOT ON APPROVED LABELS IS
17 DISPROPORTIONATELY HIGH FOR DIVERSE COMMUNITIES. IF
18 YOU RECOGNIZE THIS TRUTH, THEN IT FOLLOWS THAT
19 INVESTING IN RARE ACTUALLY SUPPORTS YOUR DEI FOCUS
20 BECAUSE THESE FAMILIES WILL BENEFIT
21 DISPROPORTIONATELY WHEN WE HAVE ACTUAL THERAPIES FOR
22 THESE DISEASES.

23 FINALLY, IN PROPOSITION 14, THE MEMBERSHIP
24 OF THE ICOC IS CLEARLY DEFINED AND TO MY MIND
25 DISAPPOINTINGLY FAILS TO INCLUDE RARE NEUROLOGICAL

BETH C. DRAIN, CA CSR NO. 7152

1 DISEASES. THERE ARE TWO SPOTS ON THAT THAT ARE NOT
2 PRESCRIBED AS I READ IT. AND I WOULD URGE YOU TO
3 APPOINT AT LEAST ONE CALIFORNIA-BASED RARE DISEASE
4 LEADER TO THIS BOARD.

5 IN SUMMARY, I URGE, IF NOT BEG CIRM TO
6 CONTINUE TO LEAD IN THE AREA OF REGENERATIVE
7 MEDICINE BY FOCUSING ON RARE DISEASE. THANK YOU
8 AGAIN.

9 CHAIRMAN IMBASCIANI: THANK YOU FOR THOSE
10 STATEMENTS. IS THERE ANY OTHER MEMBER OF THE PUBLIC
11 EITHER IN THE ROOM OR ONLINE THAT WANTS TO ADD TO
12 OUR TESTIMONY HERE TODAY? IF NOT -- WE DO HAVE
13 SOMEONE.

14 MS. MANDAC: WE DO HAVE ONE HAND RAISED,
15 DR. WEISS.

16 DR. WEISS: THANK YOU. CAN YOU HEAR ME
17 OKAY?

18 CHAIRMAN IMBASCIANI: YES.

19 DR. WEISS: GREAT. SO THANK YOU TO THE
20 CIRM ICOC BOARD AND EVERYONE SITTING THROUGH THIS
21 VERY LONG DAY, SO I'LL MAKE IT VERY SHORT. MY NAME
22 IS YAEL WEISS. I'M THE CEO OF A COMPANY CALLED
23 MAHZI THERAPEUTICS. WE'RE A CIRM GRANTEE, AND I'D
24 LIKE TO TELL ABOUT THE GRANT THAT WE RECEIVED AND
25 HOW IT REPRESENTS THE BEST OF CALIFORNIA.

BETH C. DRAIN, CA CSR NO. 7152

1 BEFORE THAT, I'D JUST LIKE TO MENTION
2 JULIE SAID WHAT CAN CIRM DO THAT -- I WROTE IT DOWN.
3 WHY CAN CIRM DO SOMETHING NO ONE ELSE CAN? SO I
4 THINK CALIFORNIA, BEING IN THE FOREFRONT OF
5 DIAGNOSTICS, OF GENETIC DIAGNOSTICS, ILLUMINA NOT
6 BEING IN THE STATE, RADY'S CHILDREN'S HOSPITAL
7 LEADING THE FOREFRONT OF NEWBORN GENETIC SCREENING,
8 THERE WILL BE HUNDREDS, IF NOT THOUSANDS, OF
9 PATIENTS WITH GENETIC DIAGNOSIS COMING UP AND
10 NEEDING TREATMENT. AND GENETIC TREATMENTS IS THE
11 TREATMENT FOR THESE DISORDERS. AND THIS IS ALSO,
12 WHEN ROSA SHOWED THE GRAPHS ON GENE THERAPIES, YOU
13 PROBABLY NOTED, AND I DON'T KNOW IF IT WAS ON THE
14 GRAPH, THAT THE GENETIC DISORDERS ARE THE ONES THAT
15 BENEFIT MOST FROM THESE GENE THERAPIES. SO THESE
16 HAVE THE HIGHEST LIKELIHOOD OF SUCCESS.

17 WE ACTUALLY PARTNERED WITH A
18 CALIFORNIA-BASED ADVOCACY GROUP, THE HOPKINS
19 RESEARCH FOUNDATION, THAT FUNDED WORK, AS CHARLENE
20 AND KIM AND MIKE SAID, THROUGH BAKE SALES AND CAR
21 WASHES. THEY FUNDED WORK IN PROFESSOR ALYSSON
22 MUOTRI'S LAB AT UCSD, WHO IS ALSO A CIRM GRANTEE.
23 AND HE DEVELOPED A GENE THERAPY FOR HOPKINS. THIS
24 THERAPY WAS LICENSED BY MAHZI THERAPEUTICS, AND
25 WE'RE NOW ADVANCING IT INTO THE CLINICAL. SO IT

BETH C. DRAIN, CA CSR NO. 7152

1 WILL BE IN THE CLINIC IN 2025. SO IF YOU CONNECT
2 ALL THE DOTS WITH THE GENETIC DISEASE THAT HAS A
3 HIGH LIKELIHOOD OF BENEFITING FROM A GENE THERAPY
4 AND ALL THE WORK BEING CONDUCTED IN CALIFORNIA, THIS
5 COULD BE AN AMAZING CIRM STORY. AND I'M KEEPING MY
6 FINGERS CROSSED THAT THIS WILL INDEED HAPPEN.

7 AND I'VE BEEN IN INDUSTRY FOR MANY, MANY
8 YEARS, IN BIG PHARMA AND IN BIOTECH, AND STARTED
9 MAHZI TO WORK WITH THE PATIENT ADVOCACY GROUPS.
10 I'VE NEVER HAD SUCH A GRATIFYING TIME IN MY CAREER
11 WORKING AND DEVELOPING THERAPIES AS I DO NOW WORKING
12 WITH PEOPLE LIKE CHARLENE AND MIKE AND KIM AND
13 OTHERS WHO YOU WILL MEET ALONG THE WAY. AND I URGE
14 YOU TO CONTINUE MAKING A DIFFERENCE TO THESE
15 FAMILIES BECAUSE IT'S CRITICAL FOR THEM. SO THANK
16 YOU.

17 CHAIRMAN IMBASCIANI: THANK YOU, MS.
18 WEISS. APPRECIATE YOUR REMARKS.

19 I WOULD NOW LIKE TO MOVE ON TO OUR FINAL
20 ITEM ON THE AGENDA. AND THAT WILL BE AN UPDATE ON
21 THE PRESIDENTIAL SEARCH COMMITTEE, AND THAT WILL BE
22 GIVEN BY GEORGE BLUMENTHAL.

23 DR. BLUMENTHAL: THANK YOU. KNOWING THAT
24 I STAND BETWEEN YOU AND WATCHING A DEBATE, I'LL BE
25 BRIEF.

BETH C. DRAIN, CA CSR NO. 7152

1 IN TERMS OF THE PRESIDENTIAL SEARCH, AS I
2 THINK WE'VE REPORTED -- THE PRESIDENT OF CIRM
3 SEARCH, AS WE'VE REPORTED BEFORE, WE HAD MORE THAN
4 160 APPLICANTS FOR THE POSITION. WE WHITTLED THAT
5 DOWN TO ABOUT 16 AND MOVED TO THE SUBCOMMITTEE FOR
6 CONSIDERATION. A SUBCOMMITTEE OF THE SEARCH
7 COMMITTEE WENT THROUGH THOSE APPLICATIONS AND
8 IDENTIFIED EIGHT APPLICATIONS THAT WE REGARDED AS
9 BEING APPROPRIATE TO DO AN INITIAL INTERVIEW WITH.

10 ONE OF THOSE EIGHT, UNFORTUNATELY, DROPPED
11 OUT BECAUSE SHE FELT SHE COULD NOT MOVE HER FAMILY
12 ACROSS THE COUNTRY. SO WE ENDED UP INTERVIEWING
13 SEVEN APPLICANTS FOR THE PRESIDENCY. AFTER THOSE
14 INTERVIEWS, THREE OF THE COMMITTEE MET AND SELECTED
15 THREE OF THOSE APPLICANTS TO MOVE FORWARD TO THE
16 BOARD FOR CONSIDERATION.

17 ORIGINALLY WE HAD HOPED TO BRING THOSE
18 APPLICANTS TO THIS MEETING OF THE BOARD; BUT AS YOU
19 CAN TELL, THERE WASN'T TIME TO DO A SERIOUS
20 EVALUATION OF THE CANDIDATES AT THIS MEETING. WE
21 HAD MANY OTHER THINGS TO DO. SO WE'VE ESTABLISHED A
22 SPECIAL MEETING OF THE ICOC THAT WILL TAKE PLACE ON
23 JULY 9TH TO EVALUATE THE CANDIDATES. AND SO I
24 REALLY WANT TO EMPHASIZE TO YOU THE IMPORTANCE OF
25 BEING ABLE TO ATTEND THAT JULY 9TH MEETING EITHER IN

BETH C. DRAIN, CA CSR NO. 7152

1 PERSON OR VIA ZOOM.

2 BEFORE THE JULY 9TH MEETING, WE WILL
3 SUPPLY TO EACH OF YOU WHO ARE MEMBERS OF THE BOARD,
4 WE'LL SUPPLY YOU WITH THE CV'S OF THE THREE
5 REMAINING CANDIDATES. WE'LL SUPPLY YOU WITH THE
6 COMPLETED QUESTIONNAIRE THAT THEY ALL COMPLETED WITH
7 REGARD TO THEIR EXPERIENCE AND GOALS. AND WE WILL
8 MAKE AVAILABLE TO YOU THE VIDEOS OF THE INTERVIEWS
9 THAT THE SEARCH COMMITTEE HAD WITH EACH OF THOSE
10 CANDIDATES.

11 AND I WOULD ENCOURAGE YOU PRIOR TO THE
12 JULY 9TH MEETING TO REVIEW THOSE MATERIALS,
13 INCLUDING THE VIDEOS. AT THE JULY 9TH -- ALSO,
14 PRIOR TO THE JULY 9TH MEETING, WE WILL PROVIDE AN
15 OPPORTUNITY FOR ALL BOARD MEMBERS TO HAVE A TRAINING
16 IN IMPLICIT BIAS TRAINING. AND I ENCOURAGE YOU TO
17 TAKE ADVANTAGE OF THAT OPPORTUNITY. AND THAT WILL
18 BE FORTHCOMING TO EACH OF YOU. I WOULD POINT OUT
19 THAT EVERY MEMBER OF THE SEARCH COMMITTEE DID
20 IMPLICIT BIAS TRAINING PRIOR TO ENGAGING IN THE
21 ACTUAL SEARCH.

22 AT THE END OF THE DAY, WE ENDED UP IN THE
23 SUBCOMMITTEE WITH THREE FINALISTS THAT WE WANT TO
24 BRING FORWARD TO THE BOARD. AT THE MEETING OF THE
25 BOARD, WE WILL MEET IN CLOSED SESSION INITIALLY.

BETH C. DRAIN, CA CSR NO. 7152

1 DURING THAT CLOSED SESSION, EACH OF THOSE CANDIDATES
2 WILL COME TO THE BOARD AND WILL BE ASKED TO GIVE A
3 BRIEF, ROUGHLY TEN-MINUTE PRESENTATION BASED ON A
4 PROMPT THAT WE'VE SUPPLIED THEM WITH. AFTER THEIR
5 PRESENTATION, EACH OF THE CANDIDATES WILL BE
6 AVAILABLE FOR QUESTIONS BY THE BOARD FOR ABOUT 45
7 MINUTES. WE WILL DO THAT WITH EACH OF THE THREE
8 FINALISTS IN THE HOPE THAT WE WILL HAVE A MEANINGFUL
9 DISCUSSION AND BE ABLE TO COME TO A DECISION WITHIN
10 THE BOARD OF WHICH CANDIDATE TO MOVE FORWARD FOR
11 FINAL APPROVAL AS THE NEXT PRESIDENT OF CIRM.

12 SO I'M HOPING THAT THIS WILL ALL BE
13 COMPLETED BY THE END OF OUR JULY 9TH MEETING. I
14 WANT TO JUST EMPHASIZE ONE MORE THING. WE WILL BE
15 MEETING IN CLOSED SESSION ON JULY 9TH. YOU WILL
16 RECEIVE A LOT OF MATERIAL. ALL OF IT IS STRICTLY
17 CONFIDENTIAL. SO I HOPE EVERYONE WILL TREAT ALL OF
18 THE MATERIAL WE SEND YOU AS WELL AS THE DISCUSSIONS
19 THAT TAKE PLACE BEHIND CLOSED DOORS AS CONFIDENTIAL
20 IF FOR NO OTHER REASON THAN OUT OF RESPECT FOR THE
21 VERY HIGH POWERED INDIVIDUALS WHO HAVE MADE OUR
22 FINAL LIST.

23 SO WITH THAT, I'M CERTAINLY OPEN TO ANY
24 QUESTIONS. BUT I WANTED TO AGAIN EMPHASIZE THE
25 IMPORTANCE OF THIS JULY 9TH MEETING. LET ME TURN TO

BETH C. DRAIN, CA CSR NO. 7152

1 MY CO-CHAIR KIM AND ASK IF YOU HAVE ANYTHING ELSE
2 YOU'D LIKE TO ADD.

3 DR. BARRETT: I'LL JUST ADD THAT WE VERY
4 MUCH APPRECIATE THE EFFORTS OF THE PRESIDENTIAL
5 SEARCH SUBCOMMITTEE. PEOPLE REALLY ENGAGED VERY
6 THOROUGHLY. I THINK IT'S BEEN A VERY RIGOROUS
7 PROCESS. THOSE OF YOU WHO HAVE AN OPPORTUNITY TO
8 WATCH THE INITIAL INTERVIEWS WILL SEE THAT THEY HAD
9 A VERY STANDARDIZED FORMAT. SO EVERYBODY WAS ASKED
10 THE SAME QUESTIONS. I THINK WE'VE MADE THE PROCESS
11 AS FAIR AND UNBIASED AS IT COULD BE.

12 I WILL ALSO STATE THAT WE WERE VERY, VERY
13 PLEASED WITH THE SEARCH FIRM THAT WORKED WITH US.
14 THEY BROUGHT US A VERY EXCELLENT AND HIGHLY DIVERSE
15 POOL. YOU WILL SEE FROM THE CANDIDATES THAT THEY
16 HAVE A WIDE RANGE OF DIFFERENT TYPES OF SKILLS THAT
17 IS CONSISTENT WITH THE DISCUSSIONS THAT WE HAD
18 AROUND THE QUALITIES THAT ARE NEEDED FOR THE
19 PRESIDENT, BUT THEY HAVE DIFFERENT BACKGROUNDS. SO
20 IT'S GOING TO BE A VERY INTERESTING ENGAGEMENT WITH
21 THE CANDIDATES. AND I'M VERY PLEASED TO HAVE HAD
22 THE OPPORTUNITY TO WORK ON THIS WITH BOTH THE
23 COMMITTEE AND WITH GEORGE.

24 AND I JUST WANT TO UNDERSCORE AGAIN THE
25 IMPORTANCE OF CONFIDENTIALITY, NOT ONLY WITH THE

BETH C. DRAIN, CA CSR NO. 7152

1 MATERIALS AND THE INTERVIEWS, BUT FOREVER AFTER.
2 ONE PERSON, WE ASSUME, WILL EMERGE FROM THE PROCESS,
3 TWO PEOPLE WILL NOT. AND OUT OF RESPECT TO THEM AND
4 THEIR CURRENT POSITIONS, THERE SHOULD BE NO MENTION
5 OF PEOPLE WHO INTERVIEWED AND DID NOT GET THE JOB.
6 AND I THINK MANY OF US, IF NOT ALL OF US WILL HAVE
7 HAD EXPERIENCES WHERE PEOPLE'S POSITIONS HAVE BEEN
8 COMPROMISED BY A BREACH IN CONFIDENTIALITY. I DON'T
9 THINK WE WANT TO BE THE CAUSE OF THAT. SO I'M
10 LOOKING FORWARD TO THE INTERVIEWS.

11 MR. TOCHER: COULD I JUST ADD ONE POINT.
12 FOR THOSE WHO MAY NOT HAVE CAUGHT EVERYTHING THAT
13 GEORGE RECITED, YOU'LL BE RECEIVING A COMMUNICATION
14 IN THE NEXT COUPLE OF DAYS THAT WILL HAVE LINKS TO
15 ACCESS ALL THE MATERIALS THAT GEORGE DESCRIBED,
16 ACCESS TO VIDEOS, AND ALSO THAT IMPLICIT BIAS
17 TRAINING. SO STAY TUNED.

18 CHAIRMAN IMBASCIANI: GREAT. KIM AND
19 GEORGE, ON BEHALF OF ALL THE BOARD MEMBERS, THANK
20 YOU FOR LEADING THAT COMMITTEE AND ITS REALLY
21 IMPORTANT WORK. YOU HAVE THE APPRECIATION OF
22 EVERYONE. THANK YOU.

23 IS THERE ANY MEMBERS OF THE PUBLIC NOW WHO
24 WOULD LIKE TO MAKE ANY COMMENT ON ANY ITEM THAT WAS
25 ON TODAY'S AGENDA OR ANY ITEM THAT WAS NOT ON

BETH C. DRAIN, CA CSR NO. 7152

1 TODAY'S AGENDA? IF NOT, I THANK YOU ALL FOR YOUR
2 PARTICIPATION. THE MEETING IS ADJOURNED.

3 (THE MEETING WAS THEN CONCLUDED AT 4:43 P.M.)
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE AND THE APPLICATION REVIEW SUBCOMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD ON JUNE 27, 2024, WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CA CSR 7152
133 HENNA COURT
SANDPOINT, IDAHO
(208) 920-3543