

**BETH C. DRAIN, CA CSR NO. 7152**

BEFORE THE  
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE  
AND THE  
APPLICATION REVIEW SUBCOMMITTEE TO THE  
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE  
ORGANIZED PURSUANT TO THE  
CALIFORNIA STEM CELL RESEARCH AND CURES ACT  
REGULAR MEETING

LOCATION: LAX MARRIOTT  
PACIFIC ROOM II AND III  
5855 W. CENTURY BOULEVARD  
LOS ANGELES, CALIFORNIA

DATE: SEPTEMBER 25, 2025  
8:30 A.M.

REPORTER: BETH C. DRAIN, CA CSR  
CSR. NO. 7152

FILE NO.: 2025-20

**BETH C. DRAIN, CA CSR NO. 7152**

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

**I N D E X**

**ITEM DESCRIPTION** **PAGE NO.**

**OPEN SESSION**

1. CALL TO ORDER

2. ROLL CALL

**CLOSED SESSION**

3. DISCUSSION OF PERSONNEL [EVALUATION OF ICOC  
CHAIR, ICOC VICE-CHAIR, AND CIRM PRESIDENT/CEO]  
(GOVERNMENT CODE SECTION 11126, SUBDIVISION (A);  
HEALTH & SAFETY CODE SECTION 125290.30(F) (3) (D)).

**OPEN SESSION**

4. CHAIR'S REPORT

5. VICE-CHAIR'S REPORT

6. PRESIDENT'S REPORT

7. RESOLUTION HONORING DAVID HIGGINS

**CONSENT CALENDAR**

8. CONSIDERATION OF MINUTES FROM THE JUNE 26, 2025,  
ICOC MEETING

9. CONSIDERATION OF APPOINTMENTS AND REAPPOINTMENTS  
TO THE GRANTS WORKING GROUP

10. CONSIDERATION OF AN APPOINTMENT TO THE  
ACCESSIBILITY AND AFFORDABILITY WORKING GROUP

**OPEN SESSION**

11. CONSIDERATION OF APPLICATIONS  
SUBMITTED IN RESPONSE TO DISCOVERY PROGRAM  
ANNOUNCEMENTS (DISC0)

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

I N D E X (CONT'D.)

12. STRATEGIC ALLOCATION FRAMEWORK  
UPDATE

**PUBLIC COMMENT**

13. DISCUSSION OF CIRM'S ACCESS  
STRATEGY PLAN

14. REPORT FROM THE COMMUNICATIONS  
SUBCOMMITTEE MEETING

**CLOSED SESSION**

15. DISCUSSION OF CONFIDENTIAL INTELLECTUAL  
PROPERTY OR WORK PRODUCT, PREPUBLICATION DATA,  
FINANCIAL INFORMATION, CONFIDENTIAL SCIENTIFIC  
RESEARCH OR DATA, AND OTHER PROPRIETARY INFORMATION  
RELATING TO APPLICATIONS SUBMITTED IN RESPONSE TO  
DISCOVERY PROGRAM ANNOUNCEMENTS (HEALTH & SAFETY  
CODE 125290.30(F) (3) (B) AND (C)).

**OPEN SESSION**

16. GENERAL COMMENTS ON ARS PROCESS

17. PUBLIC COMMENT

18. ADJOURNMENT

**BETH C. DRAIN, CA CSR NO. 7152**

1                   SEPTEMBER 25, 2025; 8:30 A.M.

2  
3                   CHAIRMAN IMBASCIANI:   GOOD MORNING,  
4   EVERYONE, AND WELCOME TO THIS MEETING OF THE  
5   INDEPENDENT CITIZENS OVERSIGHT COMMITTEE AND THE  
6   APPLICATION REVIEW SUBCOMMITTEE OF CIRM HERE IN LOS  
7   ANGELES, CALIFORNIA.   I CALL THE MEETING TO ORDER,  
8   AND WE ARE GOING TO START WITH THE PLEDGE OF  
9   ALLEGIANCE.   IF YOU WOULD ALL STAND, I'M GOING TO  
10  ASK SCOTT TO LEAD US.   THANK YOU.

11                   (THE PLEDGE OF ALLEGIANCE.)

12                  CHAIRMAN IMBASCIANI:   THANK YOU.   OUR  
13  FIRST ORDER OF BUSINESS IS THE ROLL CALL.

14                  MR. TOCHER:   EYAD ALMASRI.

15                  DR. ALMASRI:   PRESENT.

16                  MR. TOCHER:   KIM BARRETT.

17                  DR. BARRETT:   PRESENT.

18                  MR. TOCHER:   DAN BERNAL.   GEORGE  
19  BLUMENTHAL.

20                  DR. BLUMENTHAL:   HERE.

21                  MR. TOCHER:   MARIA BONNEVILLE.

22                  VICE CHAIR BONNEVILLE:   PRESENT.

23                  MR. TOCHER:   MARGUERITE CASILLAS.

24                  MS. CASILLAS:   PRESENT.

25                  MR. TOCHER:   JOHN CARETHERS.

**BETH C. DRAIN, CA CSR NO. 7152**

1 DR. CARETHERS: PRESENT.  
2 MR. TOCHER: MONICA CARSON.  
3 DR. CARSON: PRESENT.  
4 MR. TOCHER: JUDY CHOU. LEONDRA  
5 CLARK-HARVEY.  
6 DR. CLARK-HARVEY: PRESENT.  
7 MR. TOCHER: SHANNON DAHL.  
8 DR. DAHL: PRESENT.  
9 MR. TOCHER: ANNE-MARIE DULIEGE.  
10 DR. DULIEGE: PRESENT.  
11 MR. TOCHER: YSABEL DURON.  
12 MS. DURON: HERE.  
13 MR. TOCHER: MARK FISCHER-COLBRIE.  
14 DR. FISCHER-COLBRIE: HERE.  
15 MR. TOCHER: ELENA FLOWERS.  
16 DR. FLOWERS: PRESENT.  
17 MR. TOCHER: JUDY GASSON.  
18 DR. GASSON: HERE.  
19 MR. TOCHER: DAVID HIGGINS.  
20 DR. HIGGINS: HERE.  
21 MR. TOCHER: VITO IMBASCIANI.  
22 CHAIRMAN IMBASCIANI: PRESENT.  
23 MR. TOCHER: RICH LAJARA.  
24 MR. LAJARA: PRESENT.  
25 MR. TOCHER: PAT LEVITT.

**BETH C. DRAIN, CA CSR NO. 7152**

1 DR. LEVITT: HERE.  
2 MR. TOCHER: HALA MADANAT. LINDA MALKAS.  
3 SHLOMO MELMED.  
4 DR. MELMED: PRESENT.  
5 MR. TOCHER: CAROLYN MELTZER.  
6 DR. MELTZER: PRESENT.  
7 MR. TOCHER: CHRISTINE MIASKOWSKI.  
8 DR. MIASKOWSKI: PRESENT.  
9 MR. TOCHER: ADRIANA PADILLA.  
10 DR. PADILLA: HERE.  
11 MR. TOCHER: JOE PANETTA.  
12 MR. PANETTA: HERE.  
13 MR. TOCHER: JOYCE SACEY FOR LINDA BOXER.  
14 DR. SACEY: HERE.  
15 MR. TOCHER: MARVIN SOUTHARD.  
16 DR. SOUTHARD: HERE.  
17 MR. TOCHER: SHAUNA STARK.  
18 DR. STARK: PRESENT.  
19 MR. TOCHER: KAROL WATSON. Yael WYTE.  
20 MS. WYTE: PRESENT.  
21 MR. TOCHER: KEVIN XU. KEITH YAMAMOTO.  
22 DR. YAMAMOTO: HERE.  
23 MR. TOCHER: THANK YOU VERY MUCH. WE HAVE  
24 A QUORUM.  
25 CHAIRMAN IMBASCIANI: THANK YOU, SCOTT.

**BETH C. DRAIN, CA CSR NO. 7152**

1 SCOTT, YOU CAN STAY ON BASE RIGHT NOW AND TAKE US  
2 INTO CLOSED SESSION FOR THE NEXT ORDER OF BUSINESS,  
3 WHICH IS A DISCUSSION OF PERSONNEL MATTERS.

4 MR. TOCHER: ALL RIGHT. WE WILL BE  
5 ADJOURNING INTO CLOSED SESSION FOR DISCUSSION OF  
6 PERSONNEL PURSUANT TO GOVERNMENT CODE SECTIONS  
7 11126(A) AND HEALTH AND SAFETY CODE SECTION  
8 125290.30(F)(3)(D).

9 AND SO FOR THOSE OF YOU ON THE ZOOM, YOU  
10 SHOULD SEE A BREAKOUT ROOM TAB POP UP OPEN. SO  
11 PLEASE ENTER THAT TO JOIN THE BREAKOUT ROOM. AND  
12 FOR THE MEMBERS OF THE PUBLIC AND TEAM WHO ARE NOT  
13 CRITICAL, INVITE YOU TO STEP OUT. WE'RE GOING TO DO  
14 A LITTLE VOLUME CHECK HERE.

15 (THE BOARD THEN WENT INTO CLOSED  
16 SESSION, NOT REPORTED NOR HEREIN TRANSCRIBED. AT  
17 THE CONCLUSION OF THE CLOSED SESSION, DR. LEVITT  
18 REPORTED THAT NO ACTION WAS TAKEN IN THE CLOSED  
19 SESSION. A 15-MINUTE BREAK WAS THEN TAKEN FOLLOWED  
20 BY THE FOLLOWING IN OPEN SESSION.)

21 CHAIRMAN IMBASCIANI: WITH THAT, WE ARE  
22 BACK IN SESSION. THANK YOU VERY MUCH, SCOTT.

23 I'M GOING TO START WITH SOME  
24 INTRODUCTIONS. I WOULD LIKE TO WELCOME TO HER FIRST  
25 BOARD MEETING MARGUERITE CASILLAS WHO IS JOINING US

**BETH C. DRAIN, CA CSR NO. 7152**

1 AS A PATIENT ADVOCATE APPOINTED BY THE LIEUTENANT  
2 GOVERNOR OF CALIFORNIA.

3 SINCE RETIRING FROM WELLS FARGO IN 2022,  
4 MARGUERITE HAS BEEN ACTIVE IN VOLUNTEER ADVOCACY AT  
5 THE STATE AND FEDERAL LEVEL FOR THE MULTIPLE  
6 SCLEROSIS COMMUNITY, PARTNERING WITH THE NATIONAL  
7 MULTIPLE SCLEROSIS SOCIETY AND THE AMERICAN  
8 ASSOCIATION OF PEOPLE WITH DISABILITIES.

9 WHILE AT WELLS FARGO, SHE INVOLVED HERSELF  
10 WITH COMMUNICATIONS ISSUES, STRATEGIC PLANNING,  
11 TECHNOLOGY PLATFORM MANAGEMENT, OPERATIONS  
12 MANAGEMENT, PROJECT MANAGEMENT, RISK MANAGEMENT, AND  
13 RESEARCH AND MEASUREMENT. SHE IS A MEMBER OF THE MS  
14 SOCIETY'S CALIFORNIA GOVERNMENT RELATIONS ADVISORY  
15 COMMITTEE AND OF THE RESEARCH COMMITTEE OF I CONQUER  
16 MS, A PATIENT-DRIVEN ADVOCACY GROUP.

17 HER DEGREE IN COMMUNICATIONS IS FROM  
18 STANFORD UNIVERSITY, AND SHE HAS A MASTER'S IN  
19 DISABILITY STUDIES FROM CUNY. MARGUERITE IS A  
20 RESIDENT OF BERKELEY, CALIFORNIA. AND SHE HAS  
21 GRACIOUSLY AGREED TO JOIN THE COMMUNICATIONS  
22 SUBCOMMITTEE IN ADDITION TO SERVING ON THE GRANTS  
23 WORKING GROUP. WELCOME, MARGUERITE. WOULD YOU LIKE  
24 TO SAY --

25 (APPLAUSE.)



**BETH C. DRAIN, CA CSR NO. 7152**

1 MS. CASILLAS: THANK YOU, VITO, AND THANK  
2 YOU ALL SO MUCH FOR THE WARM WELCOME. IT'S BEEN  
3 GREAT TO GET TO KNOW SOME OF YOU LAST NIGHT, AND I  
4 LOOK FORWARD TO DOING MORE OF THAT. I'M REALLY  
5 HONORED TO BE HERE IN THIS SEAT REPRESENTING THE MS  
6 AND ALS COMMUNITIES. BUT I KNOW, LIKE PROBABLY MANY  
7 OF US HERE, I'M NOT TOUCHED JUST BY THAT EXPERIENCE.  
8 SO I'M REALLY -- I REALLY RECOGNIZE THE NEED TO  
9 REPRESENT ALL OF CALIFORNIA, ALL CALIFORNIANS HERE.

10 I'VE BEEN TOUCHED BY TWO BOUTS OF BREAST  
11 CANCER PERSONALLY. WE HAVE PARKINSON'S, LUPUS, LUNG  
12 DISEASE ON MY MOM'S SIDE OF THE FAMILY. WE HAVE  
13 OTHER INTERESTING THINGS ON MY DAD'S SIDE AS WELL.  
14 SO I'M REALLY EXCITED ABOUT THE WORK THAT WE ARE  
15 DOING HERE AND REALLY LOOK FORWARD TO JUMPING IN AND  
16 HELPING OUR COMMUNITIES THRIVE. THANK YOU.

17 CHAIRMAN IMBASCIANI: THANK YOU,  
18 MARGUERITE, AND WELCOME.

19 WE HAVE ANOTHER NEW BOARD MEMBER WITH US  
20 TODAY. SHANNON DAHL JOINS THE BOARD AS THE  
21 REPRESENTATIVE OF THE BIOTECHNOLOGY INDUSTRY. SHE  
22 ALSO IS AN APPOINTEE OF THE LIEUTENANT GOVERNOR OF  
23 CALIFORNIA. HER EARLY STUDIES IN MIT IN MATERIAL  
24 SCIENCES AND ENGINEERING WITH A SPECIALIZATION IN  
25 REGENERATIVE MEDICINE AND BIOMATERIALS LED HER TO

**BETH C. DRAIN, CA CSR NO. 7152**

1 HER DOCTORAL WORK IN BIOMEDICAL ENGINEERING AT DUKE  
2 UNIVERSITY WHERE SHE LATER WORKED AS A FACULTY  
3 MEMBER EXPLORING ISSUES RELATED TO END-STAGE RENAL  
4 DISEASE.

5 SHANNON WAS A CO-FOUNDER IN 2004 OF  
6 HUMACYTE, A PUBLIC EQUITY COMPANY THAT DEVELOPED  
7 THERAPY FOR END-STAGE RENAL, RISING TO ROLE OF VICE  
8 PRESIDENT AND SECURING THE FIRST EVER FDA RMAT  
9 DESIGNATION TO ACCELERATE THE PATH TO BLA APPROVAL.

10 IN 2018 SHANNON BECAME THE CHIEF  
11 SCIENTIFIC OFFICER FOR CELL CARE THERAPEUTICS LOS  
12 ANGELES, WHICH IS DEVELOPING AN IMMUNE MODULATED  
13 BIOLOGIC TO TREAT INFLAMMATION BARRIER DISRUPTION  
14 AND EDEMA IN BOTH EYE AND LUNG. SINCE 2021, AS  
15 FOUNDER AND CEO OF CARVE BIO LLC, SHE ASSISTS  
16 COMPANIES IN ADVANCING SCIENCE TO THE IND LEVEL,  
17 CONSULTS ON REIMBURSEMENT AND PAYOR STRATEGIES, AND  
18 ADVISES ON CLINICAL TRIAL STAGE PROGRESSION. SHE'S  
19 ALSO SERVED ON MANY BOARDS AND COMMITTEES ACROSS THE  
20 COUNTRY.

21 SHANNON, I SURE HOPE I GOT THAT PART OF  
22 YOUR BIO CORRECT, BUT CORRECT ME IF I MADE MISTAKES.  
23 THANK YOU AND WELCOME.

24 (APPLAUSE.)

25 DR. DAHL: THANK YOU. I'M REALLY

**BETH C. DRAIN, CA CSR NO. 7152**

1 DELIGHTED TO BE HERE. AND WITH MY BACKGROUND IN  
2 CONNECTING THE DOTS FROM DISCOVERY ALL THE WAY TO  
3 REIMBURSEMENT, HOPING THAT I CAN BRING THE VOICE TO  
4 THE BOARD OF HOW WE CAN ACCELERATE AND CONNECT THE  
5 DOTS BETWEEN THOSE STAGES OF THE JOURNEY SO WE CAN  
6 ALL ACHIEVE THE GOAL OF IMPROVING HEALTHCARE  
7 OUTCOMES FOR PATIENTS IN CALIFORNIA AND BEYOND.

8 I LOOK FORWARD TO WORKING WITH ALL OF YOU  
9 AND REALLY BRINGING TOGETHER THE RICH PERSPECTIVES  
10 THAT WE BRING FROM COMPLEMENTARY EXPERIENCES.

11 CHAIRMAN IMBASCIANI: THANK YOU, SHANNON,  
12 AND WELCOME.

13 I HAVE SOME GOOD NEWS TO PASS ON TO THE  
14 BOARD. ON AUGUST 15TH A SMALL DELEGATION OF US MET  
15 IN SACRAMENTO WITH REPRESENTATIVES OF THE STATE  
16 TREASURER, THE STATE CONTROLLER, AND THE DIRECTOR OF  
17 THE DEPARTMENT OF FINANCE. PRESENT WERE CIRM'S  
18 CHAIR AND VICE CHAIR, OUR VICE PRESIDENT FOR  
19 ADMINISTRATION, JENNIFER LEWIS, OUR ASSOCIATE VICE  
20 PRESIDENT FOR BOARD GOVERNANCE, SCOTT TOCHER, AND  
21 DIRECTOR OF FINANCE, MICHELLE LEWIS.

22 WE CONVENED AS THE CALIFORNIA STEM CELL  
23 RESEARCH AND CURES FINANCE COMMITTEE AS DIRECTED BY  
24 HEALTH AND SAFETY CODE 125291.40 IN ORDER TO REQUEST  
25 THE NECESSARY BOND AUTHORITY FOR THE CONTINUED

**BETH C. DRAIN, CA CSR NO. 7152**

1 FINANCIAL SUPPORT OF CIRM'S MISSION AND ACTIVITIES.  
2 THE MOTION ON THE TABLE WAS TO APPROVE \$500 MILLION  
3 IN BOND AUTHORITY FOR THE NEXT SIX MONTHS. THE VOTE  
4 HAPPILY WAS UNANIMOUS IN FAVOR OF THE MOTION.

5 CIRM'S ANNUAL REPORT FALLS UNDER THE  
6 CHAIR'S RESPONSIBILITY, BUT YOU KNOW I DO NOT WRITE  
7 IT. ALMOST EVERYONE AT CIRM CONTRIBUTES IN SOME  
8 WAY, FEATURING STORIES, CONTACTING PATIENTS AND  
9 RESEARCHERS, AND HELPS WITH THE EDITING PROCESS.  
10 BUT TRUTH BE TOLD, THE VERY LARGE LIFT OF DRAFTING,  
11 WRITING, AND EDITING THE ANNUAL REPORT IS PERFORMED  
12 BY OUR VERY CAPABLE COMMUNICATIONS TEAM.

13 I CAN REPORT THAT THIS YEAR'S ANNUAL  
14 REPORT IS IN THE FINAL STAGES OF EDITING AND  
15 PREPARING THE MANUSCRIPT FOR THE PUBLISHER. IT'S  
16 BEING CHECKED FOR ACCURACY IN NUMBERS AND DOLLARS.  
17 I THINK YOU ALL WILL BE VERY HAPPY WITH THE CONTENT,  
18 INCLUDING THE PROGRAMS AND THE PATIENT STORIES THAT  
19 ARE BEING HIGHLIGHTED. I FEEL IT CAPTURES THE  
20 IMPRESSIVE RANGE OF WORK THAT WE DO AND THE  
21 TREMENDOUS IMPACT WE HAVE ON THE LIVES AND WORK OF  
22 SO MANY PEOPLE. IT IS BRILLIANTLY ILLUSTRATED. THE  
23 SCOPE AND FORMAT WILL BE SIMILAR TO LAST YEAR'S, BUT  
24 THE CONTENT IS VERY MUCH UP TO DATE, UP TO THE  
25 MOMENT. ITS ANTICIPATED PUBLISHING DATE COMES IN

1     OCTOBER.

2                 SOME OTHER SHORT ITEMS. I HAVE BEEN ABLE  
3     TO TRAVEL THIS PAST SUMMER TO MEET WITH RECENTLY  
4     INSTALLED BOARD MEMBERS IN SAN DIEGO AND NEWPORT  
5     BEACH.

6                 I ESPECIALLY WANT TO CALL OUT AND THANK  
7     DR. KIM BARRETT WHO ARRANGED MEETINGS ON THE UC  
8     DAVIS CAMPUS WITH SEVERAL OF OUR SUCCESSFUL GRANTEES  
9     AND WHO SQUIRED ME AROUND THE NEW RESEARCH FACILITY  
10    ON THE MEDICAL CAMPUS, INCLUDING THE NEW  
11    LABORATORIES WHERE CIRM-FUNDED RESEARCH IS BEING  
12    CONDUCTED.

13                I HAD THE PLEASURE OF MEETING WITH THE  
14    CHAIRWOMAN OF THE SURGERY DEPARTMENT, DR. DIANA  
15    FARMER, WHO IS DOING IMPRESSIVE WORK MARRYING FETAL  
16    SURGERY WITH ADVANCED STEM CELL TECHNOLOGY TO MAKE  
17    DRAMATIC IMPACTS ON CHILDREN BORN WITH SPINA BIFIDA.

18                I WILL BE VISITING OTHER CAMPUSES IN THE  
19    CIRM NETWORK TO MEET WITH RESEARCHERS AND CLINIC  
20    ADMINISTRATORS.

21                FINALLY, I'VE BEEN INVITED TO BE A  
22    PANELIST AT THE UPCOMING ANNUAL PACIFIC COUNCIL ON  
23    INTERNATIONAL POLICY TO BE HELD AT THE SKIRBALL  
24    CENTER IN LOS ANGELES IN NOVEMBER.

25                THE DAY LONG EVENT BRINGS TOGETHER

**BETH C. DRAIN, CA CSR NO. 7152**

1 INDUSTRIALISTS, POLICYMAKERS, FOREIGN SERVICE AND  
2 DIPLOMATIC CORPS PERSONNEL, INCLUDING  
3 REPRESENTATIVES OF THE MANY FOREIGN CONSULATES IN  
4 LOS ANGELES. THE COUNCIL PROMOTES GLOBAL ENGAGEMENT  
5 ACROSS L.A. AND CALIFORNIA. IT HIGHLIGHTS THE  
6 IMPACT CALIFORNIA HAS ON GLOBAL ISSUES, FOSTERS  
7 LOCAL TO GLOBAL CONNECTIONS, AND ENCOURAGING LOCAL  
8 CITIZENS TO BECOME GLOBAL AFFAIRS CHAMPIONS BY  
9 EXPORTING LOS ANGELES'S LOCAL CITIZEN TALENT TO THE  
10 WORLD.

11 MY PANEL WILL FOCUS ON CALIFORNIA'S  
12 LEADING ROLE IN THE DOMAIN SPECIFICALLY OF SCIENCE,  
13 SUCH AS CLIMATE CHANGE AND HUMAN HEALTH, ESPECIALLY  
14 REGENERATIVE MEDICINE.

15 THAT'S MY REPORT. AND I THANK YOU FOR  
16 YOUR ATTENTION. I'M GOING TO BE FOLLOWED BY VICE  
17 CHAIR BONNEVILLE FOR HER REPORT. THANK YOU, MARIA.

18 VICE CHAIR BONNEVILLE: THANK YOU, VITO.

19 I WANTED TO UPDATE EVERYONE ON THE ACCESS  
20 AND AFFORDABILITY WORKING GROUP ACTIVITIES. EARLIER  
21 THIS MONTH THE AAWG MET TO WEIGH IN ON ACCESS PLAN  
22 REQUIREMENTS THAT ARE INCLUDED IN CIRM REGULATIONS.  
23 CIRM-FUNDED PROGRAMS THAT REACH BLA ARE REQUIRED TO  
24 SUBMIT ACCESS PLANS TO CIRM. OUR GENERAL COUNSEL  
25 WORKED WITH BLUE RIDGE CONSULTING WHO HAS ALSO

**BETH C. DRAIN, CA CSR NO. 7152**

1        HELPED THE SCIENCE PROGRAMS TEAM WITH THE  
2        STAGE-APPROPRIATE ACCESS AND AFFORDABILITY  
3        ACTIVITIES THAT ARE NOW INCLUDED AS PART OF THE PDEV  
4        AND CLIN CONCEPT PLANS.  RAFAEL WILL TALK ABOUT THE  
5        OUTCOME OF THE WORK LATER IN THIS MEETING.

6                COMING IN NOVEMBER TO THE ACCESS AND  
7        AFFORDABILITY WORKING GROUP AND THEN TO THE BOARD,  
8        THE PATIENT ACCESS TEAMS ARE PRESENTING AN ACCESS  
9        AND AFFORDABILITY STRATEGY FOR INPUT AND  
10       RECOMMENDATIONS TO THE BOARD.  THE TEAMS HAVE BEEN  
11       WORKING TO IDENTIFY KEY PROGRAMS THAT CAN HELP CIRM  
12       WITH ITS MANDATE AROUND ACCESS AND AFFORDABILITY.

13               IN ADDITION TO EXPLORING NEW PROGRAMS, THE  
14       TEAM HAS ALSO TAKEN A LOOK AT EXISTING PROGRAMS LIKE  
15       THE CLIN CONCEPT PLAN AND THE ALPHA CLINICS TO SEE  
16       HOW THOSE PROGRAMS MIGHT BE FURTHER UTILIZED TO  
17       ACHIEVE THESE AIMS.

18               THIS STRATEGY WILL COME TO THE BOARD IN  
19       DECEMBER AFTER AAWG INPUT.  AND AT THAT SAME  
20       MEETING, THE ACCESS TEAM WILL GIVE THE BOARD AN  
21       UPDATE ON CIRM'S PATIENT SUPPORT PROGRAM.  HAPPY TO  
22       ANSWER ANY QUESTIONS.  GEORGE.

23               DR. BLUMENTHAL:  THANK YOU, MARIA.  THIS  
24       IS A QUICK QUESTION THAT'S SORT OF OUT OF WHAT YOU  
25       WERE TALKING ABOUT.  BUT RECENTLY THERE'S BEEN BILLS

**BETH C. DRAIN, CA CSR NO. 7152**

1 INTRODUCED IN THE STATE LEGISLATURE, IN PARTICULAR  
2 BY SCOTT WEINER IN SAN FRANCISCO, TO HAVE CALIFORNIA  
3 PASS A BOND TO FUND SCIENTIFIC RESEARCH TO REPLACE  
4 SOME OF THE LOST FUNDS THAT HAVE COME FROM THE  
5 FEDERAL GOVERNMENT. HAS THERE BEEN ANY OUTREACH TO  
6 CIRM WITH REGARD TO COORDINATING ON THIS?

7 VICE CHAIR BONNEVILLE: ABSOLUTELY. WE  
8 SPOKE TO SENATOR WEINER'S OFFICE THIS PAST TUESDAY  
9 TO OFFER HELP, SUPPORT, IDEAS, AND ALSO TO GET A  
10 GENERAL UNDERSTANDING OF WHERE THE BILL IS IN  
11 PROCESS. AND THERE'S STILL A LOT OF OUTSTANDING  
12 QUESTIONS, BUT THEY WERE VERY RECEPTIVE AND THANKFUL  
13 THAT THEY HAD AN OPPORTUNITY TO TALK TO US. AND  
14 WE'RE GOING TO CONTINUE CONVERSATIONS WITH HIS  
15 OFFICE AROUND THIS ISSUE. AND I'LL KEEP THE BOARD  
16 UPDATED AS THINGS GET MORE SOLIDIFIED.

17 CHAIRMAN IMBASCIANI: ANY OTHER QUESTIONS  
18 FOR THE VICE CHAIR? OKAY. THEN WE'RE GOING TO  
19 MOVE -- JONATHAN THOMAS, IF YOU WILL COME TO THE  
20 PODIUM FOR THE PRESIDENT'S REPORT. THANK YOU.

21 DR. THOMAS: MR. CHAIR, MADAM VICE CHAIR,  
22 DISTINGUISHED MEMBERS OF THE BOARD, ESTEEMED CIRM  
23 COLLEAGUES, AND MEMBERS OF THE PUBLIC, I AM PLEASED  
24 TO PRESENT TODAY'S PRESIDENT'S REPORT WITH COMMENTS  
25 ON SOME OF THE NOTEWORTHY EVENTS THAT HAVE TAKEN



**BETH C. DRAIN, CA CSR NO. 7152**

1 PLACE SINCE THE LAST BOARD MEETING IN JUNE.

2 EVER SINCE INCEPTION CIRM HAS BEEN THE  
3 OBJECT OF CURIOSITY AND ENVY BY GOVERNMENT  
4 OFFICIALS, ACADEMICS, PATIENT ADVOCACY GROUPS, AND  
5 OTHERS IN OTHER STATES. I HAVE BEEN ASKED ON MANY  
6 OCCASIONS OVER THE YEARS ABOUT HOW CIRM WORKS, HOW  
7 IT CAME TO BE, CAN SOMETHING SIMILARLY BE DONE IN  
8 OTHER STATES, HOW CIRM CAN COLLABORATE WITH THOSE  
9 OUTSIDE THE STATE, AND MANY OTHER SUCH QUESTIONS.

10 SINCE THE PASSAGE OF PROP 71, NO OTHER  
11 STATE HAS BEEN ABLE TO ESTABLISH A CIRM EQUIVALENT.  
12 THERE ARE A FEW STATE STEM CELL PROGRAMS, BUT THOSE  
13 ARE MAGNITUDES SMALLER AND RELY ON ANNUAL  
14 LEGISLATIVE APPROPRIATIONS, A CHALLENGE FOR  
15 RESEARCHERS THAT NEED MULTIYEAR FUNDING CERTAINTY  
16 FOR THEIR TEAMS. A NUMBER OF STATES HAVE BALLOT  
17 INITIATIVE PROCESSES SIMILAR TO CALIFORNIA, BUT FOR  
18 A VARIETY OF REASONS HAVE NOT BEEN ABLE TO GET ONE  
19 ON THE BALLOT, LET ALONE GET IT PASSED.

20 THERE ARE ALSO A NUMBER OF SMALLER  
21 INSTITUTES THAT MAKE GRANTS IN THE REGENERATIVE  
22 MEDICINE SPACE, BUT THOSE RELY PRINCIPALLY ON  
23 PHILANTHROPY, A CHALLENGE IN TODAY'S DIFFICULT  
24 FUNDRAISING ENVIRONMENT. THAT HAS LEFT CIRM AS THE  
25 LONE MAJOR STATE FUNDER IN THE COUNTRY DOING WHAT WE

**BETH C. DRAIN, CA CSR NO. 7152**

1 DO IN CALIFORNIA, THE UNDISPUTED LEADER IN STEM CELL  
2 AND GENETIC RESEARCH FUNDING.

3 THIS PAST JULY I HAD ONE SUCH CONVERSATION  
4 THAT WAS INDICATIVE OF THOSE CONVERSATIONS ON CIRM  
5 REFERENCED ABOVE. THIS ONE WITH MICHAEL YUDELL,  
6 DEAN AND PROFESSOR IN THE COLLEGE OF HEALTH  
7 SOLUTIONS AT ARIZONA STATE UNIVERSITY. DEAN YUDELL  
8 IS A PUBLIC HEALTH SCIENTIST WHO FOCUSES ON ETHICS  
9 AND HISTORY OF PUBLIC HEALTH AND MEDICINE WITH AN  
10 EYE TOWARDS PUBLIC HEALTH POLICY IN THE AREAS OF  
11 AUTISM, HEALTH DISPARITIES, AND GENOMICS. HE IS  
12 VERY INTERESTED IN HOW ARIZONA MIGHT ADOPT A  
13 CIRM-LIKE MODEL, IF POSSIBLE, AND, MORE  
14 SPECIFICALLY, WHETHER THERE WOULD BE OPPORTUNITIES  
15 FOR RESEARCH AT ASU TO COLLABORATE WITH RESEARCHERS  
16 IN THE CIRM ECOSYSTEM. WE HAD A VERY PRODUCTIVE  
17 TALK AND ARE EXPLORING WAYS TO FOLLOW UP AS NEXT  
18 STEPS.

19 AS BEFORE, I HAVE ATTENDED A NUMBER OF  
20 EVENTS REPRESENTING CIRM IN A VARIETY OF CAPACITIES.  
21 IN JULY I MODERATED A PANEL ON THE ROLE OF RESEARCH  
22 INSTITUTIONS AT THE GREATER LOS ANGELES LIFE SCIENCE  
23 FORUM. THAT EVENT HAD ABOUT 200 STAKEHOLDERS FROM  
24 ACROSS THE REGION TO EXPLORE THE LATEST DEVELOPMENTS  
25 SHAPING L.A.'S LIFE SCIENCE LANDSCAPE.

**BETH C. DRAIN, CA CSR NO. 7152**

1           IN ADDITION TO OUR PANEL, FOR WHICH THERE  
2           WAS CONSIDERABLE Q AND A FROM THE AUDIENCE, THE  
3           FORUM HIGHLIGHTED THE ECONOMIC GOALS OF REGIONAL  
4           LEADERS, SHOWCASED EMERGING BIOTECH COMPANIES FROM  
5           LOCAL ACADEMIC INSTITUTIONS, AND EMPHASIZED THE  
6           CRITICAL ROLE OF WORKFORCE DEVELOPMENT IN ADVANCING  
7           BOTH HEALTH OUTCOMES AND REGIONAL COMPETITIVENESS.

8           TWO WEEKS AGO I ATTENDED THE ANNUAL  
9           MEETING OF THE BAY AREA COUNCIL OF WHICH CIRM IS A  
10          MEMBER. THAT ORGANIZATION PULLS TOGETHER CIVIC AND  
11          INDUSTRY LEADERS AS WELL AS SENIOR REPRESENTATIVES  
12          FROM ACADEMIC AND NONPROFIT ENTITIES IN THE AREA.

13          THE EVENT, WHICH WAS HELD IN THE ENEMY  
14          TERRAIN IN A CONFERENCE ROOM INSIDE ORACLE PARK,  
15          HOME OF THE GIANTS, OFFERED THE OPPORTUNITY TO SPEAK  
16          TO A NUMBER OF COUNCILMEMBERS PLUS PRESS IN  
17          ATTENDANCE ABOUT CIRM, OUR PROGRAMS, AND OUR  
18          IMPORTANCE BOTH TO STAKEHOLDERS IN THE BAY AREA AND  
19          THE STAKEHOLDERS THROUGHOUT THE STATE AS A WHOLE.

20          I SHOULD NOTE AS AN ASIDE THAT THE MEETING  
21          WAS HELD IN ADVANCE OF A GIANTS GAME WITH PART OF  
22          THE MEETING IN A NETTED AREA AT THE BASE OF THE  
23          RIGHT FIELD WALL. I'M HAPPY TO REPORT THAT I  
24          SCOOPED UP A BATTING PRACTICE BALL THAT ROLLED UNDER  
25          THE NETTING WHICH IS PROUDLY ON DISPLAY IN MY OFFICE

**BETH C. DRAIN, CA CSR NO. 7152**

1 FOR ANY OF YOU WHO MIGHT BE INTERESTED IN SEEING IT.

2 TWO OF THE MAJOR EVENTS FROM THE PAST  
3 COUPLE MONTHS WERE OUR TWO ANNUAL EDUCATION  
4 CONFERENCES. FIRST THE TRAINEE NETWORKING  
5 CONFERENCE BRINGING TOGETHER PARTICIPANTS IN OUR  
6 THREE PROGRAMS FROM THE UNDERGRADUATE LEVEL ON UP  
7 AND OUR SPARK PROGRAM FOR HIGH SCHOOL STUDENTS.  
8 BOTH EVENTS WERE OUTSTANDING AS ALWAYS, SHOWCASING  
9 OUR AMAZING STUDENTS WHO WILL BE KEY PLAYERS IN THE  
10 REGENERATIVE MEDICINE WORKFORCE OF TOMORROW.

11 DRS. KELLY SHEPARD AND DAISY XIN RUN THESE  
12 WONDERFUL PROGRAMS. I HAD ASKED KELLY TO GIVE THE  
13 BOARD A BRIEF REPORT ON BOTH OF THOSE CONFERENCES.  
14 KELLY IS JOINING US ON ZOOM. KELLY, CAN WE HEAR  
15 YOUR REPORT PLEASE?

16 DR. SHEPARD: YES. CAN SOMEONE CONFIRM  
17 THAT YOU GUYS CAN HEAR ME?

18 DR. THOMAS: YES, WE CAN. THANK YOU.

19 DR. SHEPARD: WONDERFUL. GOOD AFTERNOON,  
20 MEMBERS OF THE BOARD, CIRM TEAM, AND ANY MEMBERS OF  
21 THE PUBLIC WHO ARE JOINING US EITHER IN PERSON OR  
22 VIA ZOOM. AS DR. THOMAS ELABORATED, I'D JUST LIKE  
23 TO TAKE A FEW MINUTES TO HIGHLIGHT THE TWO IMPORTANT  
24 EVENTS FROM THIS PAST SUMMER THAT REALLY CELEBRATED  
25 THE ACHIEVEMENTS OF HUNDREDS OF TRAINEES ACROSS THE

1 STATE WHO PARTICIPATED IN CIRM'S RESEARCH TRAINING  
2 PROGRAMS.

3 THESE CONFERENCES, OF COURSE, ARE A PART  
4 OF CIRM'S EFFORTS TO BUILD A HIGHLY SKILLED  
5 REGENERATIVE MEDICINE WORKFORCE WHILE KEEPING THESE  
6 TRAINEES CONNECTED TO THE PATIENT COMMUNITIES WHO  
7 INSPIRE THEIR WORK.

8 THE FIRST EVENT I'LL TALK ABOUT IS THE  
9 CIRM TRAINING NETWORKING CONFERENCE. THIS TOOK  
10 PLACE IN HOLLYWOOD, CALIFORNIA, AT THE END OF JULY.  
11 THIS MARKED OUR SECOND TIME BRINGING TOGETHER  
12 TRAINEES FROM THREE OF OUR UNDERGRADUATE THROUGH  
13 POSTGRADUATE LEVEL PROGRAMS FOR A CHANCE TO NETWORK,  
14 SHARE THEIR SCIENTIFIC ACCOMPLISHMENTS, AND ENGAGE  
15 WITH ONE ANOTHER IN PROFESSIONAL DEVELOPMENT  
16 WORKSHOPS AND OTHER ACTIVITIES OF VALUE.

17 THIS YEAR'S CONFERENCE WAS TITLED "20  
18 YEARS OF CIRM" IN HONOR OF OUR 20TH YEAR  
19 ANNIVERSARY, WHICH WE FELT WAS A FITTING THEME FOR  
20 EXPLORING HOW BOTH SCIENTIFIC RESEARCH AND PATIENT  
21 ADVOCACY HAVE EVOLVED OVER THE PAST TWO DECADES. WE  
22 WERE PARTICULARLY HONORED TO HAVE SEVERAL OF THE  
23 ALUMNI FROM THE EARLY YEARS OF THESE SAME TRAINING  
24 PROGRAMS, MANY OF WHOM HAVE SINCE BECOME MENTORS AND  
25 EDUCATORS THEMSELVES. AND THEY COLLABORATED WITH US

1 TO PLAN THIS MEETING OR SERVE AS PANELISTS IN THE  
2 MEETING.

3 THROUGHOUT THE TWO AND A HALF DAYS OF THIS  
4 CONFERENCE, NEARLY 300 STUDENTS PRESENTED POSTERS  
5 AND OVER 40 TRAINEES ACROSS ALL LEVELS DELIVERED  
6 ORAL PRESENTATIONS TO THEIR PEERS AND MENTORS.  
7 TRAINEES PARTICIPATED IN WORKSHOPS ON TOPICS SUCH AS  
8 SCIENTIFIC COMMUNICATION, MENTORSHIP, AND MANAGING  
9 PROFESSIONAL INTERACTIONS IN THE WORKPLACE. THEY  
10 ALSO WERE ABLE TO EXPLORE DIVERSE CAREER PATHS IN  
11 SCIENCE LIKE PROJECT MANAGEMENT, MANUFACTURING  
12 PROGRAMS, POLICY, AND OUTREACH. SEVERAL TRAINEES  
13 ALSO CHAIRED PLENARY SESSIONS WHERE LEADING  
14 SCIENTISTS SHARED CUTTING-EDGE RESEARCH ON SUBJECTS  
15 LIKE EPILEPSY, MACULAR DEGENERATION, DIABETES, AND  
16 WOMEN'S HEALTH.

17 WHILE THE SCIENTIFIC PRESENTATIONS WERE  
18 IMPRESSIVE, IT WAS REALLY THE VOICE OF PATIENT  
19 ADVOCATES THAT REMINDED THE ATTENDEES OF THE HUMAN  
20 IMPACT THAT RESULTS FROM THEIR SCIENTIFIC WORK.  
21 ATTENDEES TOLD US THEY WERE ESPECIALLY MOVED BY THE  
22 PERSONAL STORIES FROM KRISTIN MACDONALD AND AUDREY  
23 PAKRAVAN, WHO SPOKE ABOUT LIVING WITH RETINITIS  
24 PIGMENTOSA AND SURVIVING CANCER AS A YOUNG WOMAN  
25 RESPECTIVELY.

**BETH C. DRAIN, CA CSR NO. 7152**

1           IN ADDITION, THEY WERE MOVED BY ADRIENNE  
2       SHAPIRO AND JEFFERY RANDALL ALLEN WHO SPOKE OF THEIR  
3       DEDICATION AND ADVOCACY ON BEHALF OF THEIR FAMILY  
4       MEMBERS WITH SICKLE CELL DISEASE AND CREATINE  
5       TRANSPORTER DEFICIENCY. MOMENTS LIKE THESE REALLY  
6       SHOW AND REMIND US WHY CIRM'S TRAINING PROGRAMS  
7       MATTER. THE SCALE OF PARTICIPATION THIS YEAR  
8       REFLECTS JUST HOW FAR REACHING THIS IMPACT HAS  
9       BECOME WITH OVER 500 ATTENDEES FROM ACROSS OUR GREAT  
10      STATE.

11           ALONG WITH THE TRAINEES WE WELCOMED THEIR  
12      PROGRAM DIRECTORS, MENTORS, KEYNOTE SPEAKERS, AND A  
13      VARIETY OF PROFESSIONALS AND VOLUNTEERS, INCLUDING  
14      SEVERAL MEMBERS OF THE CIRM TEAM AND OUR OWN  
15      GOVERNING BOARD.

16           SO IN ADDITION TO THE TRAINEE NETWORKING  
17      CONFERENCE, THE SECOND EVENT I WANTED TO SHARE WITH  
18      YOU WAS A CELEBRATION OF ANOTHER GROUP OF REMARKABLE  
19      YOUNG SCIENTISTS. THIS TOOK PLACE IN LA JOLLA,  
20      CALIFORNIA, ON AUGUST 4TH. CIRM'S SPARK PROGRAM  
21      INTRODUCES REGENERATIVE MEDICINE AND STEM CELL  
22      BIOLOGY TO HIGH SCHOOL STUDENTS. AND EVERY YEAR  
23      THESE STUDENTS COME TOGETHER AND PARTICIPATE, AFTER  
24      PARTICIPATING IN SUMMER INTERNSHIPS, THE CULMINATION  
25      OF THE ANNUAL SPARK CONFERENCE WHERE THEY SHARE

1     THEIR RESEARCH WITH PEERS AND MENTORS.

2                 OVER A HUNDRED HIGH SCHOOL STUDENTS FROM  
3     ACROSS THE STATE CAME TOGETHER THIS YEAR.   AND IN  
4     ADDITION TO PRESENTING THEIR RESEARCH POSTERS, THEY  
5     GOT TO HEAR FROM LEADING INDUSTRY AND ACADEMIC  
6     EXPERTS AS WELL AS PATIENT ADVOCATES.   DESPITE A  
7     RELATIVELY SHORT SUMMER INTERNSHIP, IT'S ALWAYS  
8     AMAZING TO HEAR HOW MUCH STUDENTS HAVE LEARNED  
9     DURING THEIR TIME IN THE LAB.   THEIR INSIGHTFUL  
10    QUESTIONS THAT THEY HAVE ABOUT THE FIELD AND FUTURE  
11    CAREERS ARE A REMINDER OF HOW BENEFICIAL IT IS TO  
12    EXPOSE STUDENTS TO THESE OPPORTUNITIES EARLY.

13                WHILE THERE IS A LOT MORE I COULD SHARE,  
14    I'LL JUST ACKNOWLEDGE THE GRANTEES WHO HELPED US  
15    ORGANIZE THESE CONFERENCES COAST TO COAST IN  
16    GENERATION STEAM.   AND I'LL STOP HERE AND JUST  
17    REMIND YOU THAT IF YOU ARE INTERESTED IN LEARNING  
18    MORE ABOUT THESE EVENTS, THERE ARE SEVERAL POSTS  
19    FROM THE CIRM TEAM ON OUR BLOG AND LINKEDIN SITES.  
20    AND WE WOULD BE MORE THAN HAPPY TO SHARE INFORMATION  
21    IF YOU'D LIKE TO FOLLOW UP WITH US IN THE NEAR  
22    FUTURE.   THANK YOU, J.T.

23                DR. THOMAS:   THANK YOU, KELLY.   YSABEL.

24                MS. DURON:   THANK YOU.   KELLY, GREAT.   I  
25    WAS EXCITED TO SPEND SOME TIME AT SAN JOSE STATE



1 UNIVERSITY WITH SOME OF THE ACTUALLY TEAM LEADERS,  
2 THE ADULTS. AND WHAT I WAS EXCITED ABOUT, QUITE  
3 FRANKLY, KELLY, WAS THAT THEY WERE WORKING TO  
4 DEVELOP A COMMUNICATIONS COURSE TO TEACH OUR YOUNG  
5 STEM SCIENTISTS HOW TO SPEAK ENGLISH. AND I MEAN TO  
6 SPEAK TO THE PUBLIC ABOUT WHAT THEY'RE DOING AND THE  
7 MARVELOUS CURES AND/OR AT LEAST ADVANCES THAT CAN BE  
8 MADE AS A RESULT OF STEM CELL.

9 SO I LOVE THE IDEA OF THE COMBINATION OF  
10 PATIENT ADVOCACY, BUT I ALSO THINK YOU NEED TO  
11 INTENTIONALLY CREATE A COMMUNICATIONS STRATEGY TO  
12 HELP THESE YOUNG PEOPLE TURN OUT WORD AND BE ABLE TO  
13 GO INTO THE PUBLIC VERY DELIBERATELY TO DO THE  
14 GOSPEL BECAUSE THERE'S STILL SO MANY PEOPLE WHO  
15 DON'T REALLY KNOW WHAT THIS STEM CELL STUFF IS, HOW  
16 IT MAKES A DIFFERENCE IN THEIR LIVES, OR COULD IN  
17 THE FUTURE, AND HOW IT IS PART OF DEVELOPING OUT  
18 LONG-TERM ADVANCES OVER TIME THAT WE HOPE WILL  
19 MAKE -- ACTUALLY CREATE CURES.

20 SO ANYTHING THAT YOU ALL ARE PROMOTING  
21 FROM YOUR END TO REALLY CREATE A VERY DELIBERATE  
22 COMMUNICATIONS PROGRAM FOR ALL OF THE STEM CELL  
23 STUDENTS OUT THERE, I THINK IT'S REALLY CRITICAL.

24 DR. SHEPARD: YEAH. THANK YOU FOR THAT  
25 COMMENT. I SPOKE TO YOU A LITTLE BIT AT THAT SAN

**BETH C. DRAIN, CA CSR NO. 7152**

1 JOSE EVENT ABOUT THIS, AND I TOTALLY AGREE WITH YOU.  
2 AND I'M HAPPY THAT AMY ADAMS HAS JOINED US. SHE'S  
3 QUITE WELL VERSED AT THIS, AND I'M SURE THAT SHE  
4 WILL BE ABLE TO HELP US COME UP WITH SOME CREATIVE  
5 IDEAS FOR HOW WE CAN INCORPORATE MORE OF THIS  
6 IMPORTANT ACTIVITY IN OUR FUTURE ITERATIONS OF THESE  
7 PROGRAMS. THANK YOU.

8 MS. DURON: AND NOW WE HAVE MARGUERITE  
9 CASILLAS ALSO WITH EXPERTISE IN COMMUNICATIONS. SO  
10 I REALLY DO THINK -- JOINING US ON THE SUBCOMMITTEE.

11 DR. SHEPARD: WONDERFUL. THAT'S RIGHT.  
12 THANK YOU. THANK YOU. I'M LOOKING FORWARD TO  
13 WORKING WITH ALL OF YOU.

14 DR. THOMAS: THANK YOU, YSABEL. THANK  
15 YOU, KELLY, FOR THAT INSPIRING PRESENTATION. IT  
16 DRIVES HOME, AS IT DOES EVERY YEAR, THE IMPORTANCE  
17 OF CIRM'S EDUCATION FOCUS AND THE MAJOR ROLE WE  
18 CONTINUE TO PLAY IN MAKING OPPORTUNITIES AVAILABLE  
19 THROUGHOUT THE STATE FOR STUDENTS INTERESTED IN THE  
20 FIELD.

21 THAT CONCLUDES MY PRESIDENT'S REPORT OTHER  
22 THAN TO SAY NICE TRY AND BETTER LUCK NEXT YEAR TO  
23 GIANTS FANS IN ATTENDANCE AND TO DISPEL THE LONG  
24 HELD NOTION THAT I'M THE ONLY DODGER FAN IN THE  
25 EXTENDED CIRM FAMILY. THOUGH THEY TRY TO KEEP IT

**BETH C. DRAIN, CA CSR NO. 7152**

1 QUIET, THERE ARE, IN FACT, OTHER ARDENT SUPPORTERS  
2 IN OUR MIDST. SEE HERE, FOR EXAMPLE.

3 VICE CHAIR BONNEVILLE: THAT'S ME.

4 DR. THOMAS: WITH THAT, TURNING IT BACK  
5 OVER TO YOU, MR. CHAIR.

6 CHAIRMAN IMBASCIANI: THANK YOU, J.T.  
7 WE'RE GOING TO MOVE ON. I THINK WE HAVE TIME BEFORE  
8 OUR LUNCH TO DO THE CONSENT CALENDAR.

9 MR. TOCHER: ACTUALLY, IF POSSIBLE, WE'D  
10 LIKE TO BREAK FOR LUNCH SO THAT WE CAN GET TO  
11 DAVID'S RESOLUTION WHEN WE RETURN.

12 CHAIRMAN IMBASCIANI: OKAY.

13 MR. TOCHER: SO WE'LL JUST BREAK FOR LUNCH  
14 NOW FOR THE NEXT HALF HOUR.

15 CHAIRMAN IMBASCIANI: AND WE'LL START WITH  
16 THE RESOLUTION.

17 MR. TOCHER: CORRECT. SO FOR THOSE OF YOU  
18 ON THE PHONE, WE'LL RETURN AT 12:40. LUNCH IS IN  
19 THE NEXT ROOM, RIGHT HERE. AND YOU CAN EAT IN THERE  
20 OR BRING IT BACK TO YOUR DESK, WHATEVER YOU WISH.

21 CHAIRMAN IMBASCIANI: SAY THE RETURN TIME  
22 AGAIN.

23 MR. TOCHER: AT 12:40.

24 (A RECESS WAS TAKEN.)

25 CHAIRMAN IMBASCIANI: OKAY. WELCOME,

**BETH C. DRAIN, CA CSR NO. 7152**

1 EVERYONE, BACK FROM YOUR LUNCH. AND WE'RE GOING TO  
2 CONTINUE WITH THE AGENDA AT AGENDA ITEM NO. 7, AND  
3 I'M GOING TO INTRODUCE IT.

4 SO YOU KNOW IT'S A BITTERSWEET TASK  
5 WHENEVER WE SAY GOODBYE TO A VALUED BOARD MEMBER AND  
6 THANK THEM FOR THEIR SERVICE. AS IS THE CASE TODAY,  
7 WE'RE GOING TO RECOGNIZE THE MANY YEARS OF SERVICE  
8 OF DAVID HIGGINS.

9 DAVID, WHO WAS APPOINTED TO THIS BOARD BY  
10 CALIFORNIA STATE CONTROLLER JOHN CHIANG IN 2014,  
11 BEING RECOMMENDED FOR IT BY THE MICHAEL J. FOX  
12 FOUNDATION, AND REAPPOINTED BY CONTROLLER BETTY YEE  
13 IN 2021. DAVID'S ROLE IS THAT OF PATIENT ADVOCATE  
14 REPRESENTING THE PARKINSON'S DISEASE GROUP. DR.  
15 HIGGINS IS A WELL-KNOWN ADVOCATE FOR THOSE WITH  
16 PARKINSON'S DISEASE AS WELL AS THEIR CARETAKERS AND  
17 CARE PARTNERS, RAISING AWARENESS FOR THE SOCIAL,  
18 MEDICAL, AND ECONOMIC BENEFITS OF DEVELOPING NEW  
19 TREATMENTS AND A CURE FOR THIS CONDITION.

20 DAVID REFERS TO PARKINSON'S AS A FAMILY  
21 TRADITION, SADLY, BECAUSE OF HOW MANY CLOSE FAMILY  
22 MEMBERS AND RELATIVES HAD TO DEAL WITH IT  
23 PERSONALLY. IT MAY NOT BE WELL KNOWN THAT HIS  
24 GRANDMOTHER PARTICIPATED IN AND BENEFITED  
25 SIGNIFICANTLY FROM EARLY CLINICAL TRIALS OF LEVODOPA

1 THERAPY IN THE 1960S.

2 AS I UNDERSTAND IT, DAVID'S UNCLE WAS  
3 LIVING IN GAINESVILLE, FLORIDA, HEARD OF A CLINICAL  
4 TRIAL USING LEVODOPA IN A DOUBLE-BLINDED TRIAL. AND  
5 HIS UNCLE CAUSED HIS GRANDMOTHER TO RELOCATE  
6 TEMPORARILY FROM WEST VIRGINIA WHERE SHE WAS LIVING  
7 DOWN TO GAINESVILLE. AND THE DISEASE HAD SEVERELY  
8 IMPACTED HER ABILITY TO ARTICULATE VERBALLY, BUT THE  
9 LEVODOPA TRIAL MADE A PROFOUND AND DRAMATIC  
10 IMPROVEMENT IN THAT SO SHE COULD GO BACK HOME.

11 SO IN A SENSE I TELL YOU THAT STORY  
12 BECAUSE YOU COULD LOOK AT IT THAT DAVID IS JUST  
13 CONTINUING A LONG FAMILY TRADITION OF SUPPORTING AND  
14 PARTICIPATING IN THE ADVANCEMENT OF CLINICAL  
15 RESEARCH.

16 AS SUCH, HE HAS SERVED AS THE CALIFORNIA  
17 ASSISTANT STATE DIRECTOR OF THE PARKINSON'S ACTION  
18 NETWORK AND THE VICE PRESIDENT OF THE BOARD FOR THE  
19 PARKINSON'S ASSOCIATION OF SAN DIEGO. HIS DOCTORAL  
20 DISSERTATION IN MOLECULAR BIOLOGY AND GENETICS AT  
21 THE UNIVERSITY OF ROCHESTER LED TO A POSTDOCTORAL  
22 FELLOWSHIP AT THE NATIONAL CANCER INSTITUTE FOLLOWED  
23 BY DRUG DEVELOPMENT WORK AT COMPANIES INCLUDING  
24 INVITROGEN, CHIRON, AND EIDEN PHARMACEUTICALS. HE  
25 WAS HEAD OF THE UNITED STATES OPERATIONS FOR

**BETH C. DRAIN, CA CSR NO. 7152**

1 BIOMEDICA WHICH WAS DEVELOPING A GENETIC THERAPY TO  
2 TREAT PARKINSON'S DISEASE.

3 DAVID SERVED AS AN ASSOCIATE PROFESSOR OF  
4 BIOLOGY AT SAN DIEGO STATE UNIVERSITY AND AS AN  
5 INSTRUCTOR FOR THE BIOTECH TECHNICIAN TRAINING  
6 PROGRAM AT SAN DIEGO CITY COLLEGE. CIRM HAS  
7 BENEFITED FROM HIS CONTRIBUTIONS TO THE SCIENCE AND  
8 COMMUNICATIONS SUBCOMMITTEES, THE APPLICATION REVIEW  
9 SUBCOMMITTEE, AND THE TASK FORCE FOR NEUROSCIENCE  
10 AND MEDICINE. HE WAS A REGULAR MEMBER OF THE  
11 FACILITIES WORKING GROUP, THE GRANTS WORKING GROUP,  
12 AND THE ACCESS AND AFFORDABILITY WORKING GROUP.

13 THIS LEADS ME TO THE RESOLUTION, TO THE  
14 RESOLVED PART OF THE RESOLUTION, THAT THIS GOVERNING  
15 BOARD OF CIRM, ON BEHALF OF THE PEOPLE OF THE STATE  
16 OF CALIFORNIA, WISHES TO EXPRESS ITS DEEPEST  
17 GRATITUDE TO DAVID HIGGINS FOR HIS SERVICE ON THIS  
18 BOARD AND FOR HIS DEDICATION TO THE ADVANCEMENT OF  
19 STEM CELL RESEARCH AND TO THE MISSION OF CIRM.

20 DAVID, THIS IS A REWARD -- THIS RESOLUTION  
21 IS THE LEAST THAT WE CAN DO TO RECOGNIZE YOUR MANY  
22 YEARS OF SERVICE TO US. AND I THANK YOU PERSONALLY  
23 AS DOES THE BOARD. AND I WILL OPEN THE FLOOR.

24 (APPLAUSE.)

25 VICE CHAIR BONNEVILLE: I JUST WANTED TO

**BETH C. DRAIN, CA CSR NO. 7152**

1 ADD A COUPLE OF THINGS. I'VE KNOWN DAVID MY HIGH  
2 WHOLE CAREER AT CIRM. HE'S ALWAYS BEEN CIRM'S  
3 CHEERLEADER. HE'S THE FIRST TO THANK THE STAFF FOR  
4 THEIR HARD WORK FOR A GREAT PRESENTATION AND FOR ALL  
5 THEY DO TO KEEP CIRM HUMMING ALONG. HE'S ALWAYS  
6 BEEN UPBEAT AND POSITIVE, TRULY EXCITED TO  
7 PARTICIPATE AND GIVE BACK TO THE PEOPLE OF  
8 CALIFORNIA, AND HE HAS BEEN A HUGE GIFT TO US. AND  
9 I'LL MISS YOUR AMAZING CONTRIBUTIONS, DAVID, BUT  
10 THANK YOU FOR SPENDING ALL OF THESE YEARS WITH US.

11 DR. THOMAS: MR. CHAIR, IF I MIGHT.

12 CHAIRMAN IMBASCIANI: YES, J.T.

13 DR. THOMAS: DAVID, FIRST OF ALL, I WANT  
14 TO SAY A WORD ABOUT YOUR DISTINGUISHED CAREER. WHEN  
15 WE DEAL WITH EACH OTHER AS CIRM COLLEAGUES, WE, AS  
16 ONE WOULD EXPECT, FOCUS ON PARTICULAR ROLES THAT ONE  
17 PLAYS AS PART OF THE AGENCY FAMILY, IN YOUR CASE,  
18 PATIENT ADVOCATE FOR PARKINSON'S DISEASE. WHAT WE  
19 TEND TO FORGET IS THE INCREDIBLE STRING OF  
20 ACCOMPLISHMENTS THAT PRECEDED ONE'S CIRM DAYS THAT  
21 FULLY DEFINE WHAT A BOARD MEMBER HAS DONE LEADING UP  
22 TO JOINING OUR TEAM.

23 LOOKING AT YOUR RESOLUTION, I AM REMINDED  
24 OF THAT FACT ONCE AGAIN. WHAT YOU'VE DONE OVER THE  
25 COURSE OF YOUR CAREER HAS BEEN NOTHING SHORT OF

**BETH C. DRAIN, CA CSR NO. 7152**

1     AMAZING.  WHETHER IT WAS YOUR ROLE IN MULTIPLE  
2     BIOTECH COMPANIES DEVELOPING A VARIETY OF DRUGS, IN  
3     ACADEMIA TEACHING IN MULTIPLE UNIVERSITY SETTINGS,  
4     SERVING ON NUMEROUS BOARDS, BRINGING YOUR EXPERTISE  
5     TO BEAR, OR AS AN ADVISOR TO MANY IN THE SAN DIEGO  
6     AREA, YOU'VE SHARED YOUR SKILLS AND PERSPECTIVES FOR  
7     THE BENEFIT OF SO MANY.

8                 SO TOO HAS IT BEEN DURING YOUR LONG TENURE  
9     ON THE CIRM BOARD.  THERE IS MANY DESCRIPTORS THAT  
10    COME TO MIND WHEN I REFLECT BACK ON YOUR YEARS OF  
11    SERVICE:  PASSIONATE PATIENT ADVOCATE, EXEMPLARY  
12    COLLEAGUE, INSIGHTFUL PEER REVIEWER, MODEL  
13    AMBASSADOR FOR CIRM, OR EVEN MORE SPECIFICALLY  
14    SINGLE WORDS THAT REFLECT WHAT I THINK WHEN I THINK  
15    OF YOU:  DEDICATION, OPTIMISM, COLLEGIALITY, AND  
16    ABOVE ALL GOODNESS.

17                THROUGHOUT YOUR TIME AT CIRM, YOU'VE  
18    EMBODIED THE BEST OF WHAT CIRM IS ABOUT AT ALL  
19    TIMES.  YOU HAVE BROUGHT A CHEERFUL ZEST TO THE  
20    BOARD AND OUR TEAM THAT INSPIRED AND BUOYED US AS WE  
21    WENT ABOUT OUR SERIOUS BUSINESS OF ENABLING RESEARCH  
22    THAT WE HOPE WILL ONE DAY YIELD THERAPIES AND CURES  
23    FOR A HOST OF THE WORLD'S MOST DEBILITATING DISEASES  
24    AND CONDITIONS.  YOU HAVE ANSWERED THE CALL FOR ALL  
25    THAT CIRM ASKS OF BOARD MEMBERS, HAVING SERVED ON



**BETH C. DRAIN, CA CSR NO. 7152**

1 SEEMINGLY EVERY COMMITTEE AND TASK FORCE AT ONE TIME  
2 OR ANOTHER OVER THE YEARS, AND HAVE DONE SO WITH  
3 UTMOST ENTHUSIASM AND PROFESSIONALISM.

4 AT ALL TIMES, DAVID, IT HAS BEEN A TRUE  
5 PRIVILEGE TO WORK WITH YOU THESE MANY YEARS. THANK  
6 YOU FOR ALL THAT YOU HAVE DONE FOR CIRM AND FOR THE  
7 PATIENTS OF CALIFORNIA AND THE WORLD. WE WISH YOU  
8 NOTHING BUT THE BEST AS YOU CONTINUE ALONG THE NEXT  
9 PHASE OF LIFE UNDOUBTEDLY IN CONTINUED SERVICE OF  
10 OTHERS WHO WILL BE SO LUCKY TO HAVE YOU CROSS THEIR  
11 PATH.

12 CHAIRMAN IMBASCIANI: THANK YOU, J.T.  
13 THAT WAS BEAUTIFUL. MARVIN.

14 DR. SOUTHARD: SO, DAVID, I JUST WANTED TO  
15 SAY WE HAVE SERVED ON NUMEROUS REVIEW PANELS  
16 TOGETHER. AND I HAVE REALLY BENEFITED FROM LEARNING  
17 HOW TO DO A GOOD REVIEW FROM YOU WATCHING YOU. I  
18 CAN'T ADD ANYTHING TO YOUR SCIENTIFIC KNOWLEDGE  
19 BECAUSE I DON'T HAVE THAT, BUT THE WAY YOU CAREFULLY  
20 LOOK AT EACH PROPOSAL AND ARE ABLE TO PEEL OUT THE  
21 STRONG POINTS AND THE WEAK POINTS BOTH FROM A  
22 COMMUNITY AND FROM A SCIENTIFIC PERSPECTIVE HAS  
23 REALLY BEEN INSPIRING. SO I THANK YOU FOR TEACHING  
24 ME.

25 MS. DURON: FIRST OF ALL, I WANT TO THANK

1 J.T. FOR THAT VERY POETIC DESCRIPTION. IT WAS QUITE  
2 BEAUTIFUL. IT REFLECTED, IN FACT, A LOT OF THINGS I  
3 WOULD SAY, BUT HE SAID THEM FOR ME.

4 WHAT I WANT TO SAY, DAVID, ON A VERY  
5 PERSONAL NOTE IS THAT ARRIVING AT THIS DISTINGUISHED  
6 BOARD AS A PATIENT ADVOCATE CAN BE VERY DAUNTING AS  
7 WE TRY TO LEARN THE SCIENCE ALONG WITH THE  
8 RELATIONSHIPS. AND I REALLY APPRECIATED THAT FROM  
9 THE GET-GO YOU WERE VERY SUPPORTIVE OF OTHER PATIENT  
10 ADVOCATES, BUT PARTICULARLY ME AND MAKING ME FEEL  
11 WELCOME SO THAT -- AND WATCHING MY BACK SO THAT I  
12 HAD THE CHUTZPAH TO SAY, TO TALK, TO ENGAGE. AND I  
13 HOPE -- I TAKE AWAY FROM THAT THAT AS PATIENT  
14 ADVOCATES OUR VOICES ARE POWER. WHO WE REPRESENT IS  
15 CRITICAL. AND YOU ARE THE PERFECT MODEL FOR HOW  
16 THAT SHOULD BE, NOT JUST BY TALKING OUT, BUT  
17 SUPPORTING OTHERS, THE WIND AT OUR BACK. SO REALLY  
18 APPRECIATE THAT I COULD DEPEND ON DAVID TO HAVE MY  
19 BACK. AND SO THANK YOU VERY MUCH FOR BEING THAT  
20 WIND. THANK YOU.

21 DR. FISCHER-COLBRIE: I CAN'T HOPE TO  
22 MATCH THE ELOQUENCE OF WHAT MARV AND YSABEL JUST  
23 SAID. BUT HAVING SPENT COUNTLESS HOURS TOGETHER ON  
24 GRANT WORKING GROUP, FACILITY WORKING GROUP, I MADE  
25 SURE TO BE EXTRA CAREFUL LISTENING TO YOUR FEEDBACK

**BETH C. DRAIN, CA CSR NO. 7152**

1 AND COMMENTS BECAUSE YOUR ABILITY TO PARSE OUT AND  
2 DISTIL THE ESSENTIAL REQUIREMENTS OF BOTH THE  
3 SCIENCE AND THE COMMUNITY WERE SO COMPELLING THAT IT  
4 WAS VERY IMPORTANT TO BE ABLE TO TAKE YOUR  
5 INFORMATION AND BE ABLE TO COMPREHEND IT.

6 SO YOUR DILIGENCE, YOUR THOUGHTFULNESS,  
7 YOUR PROACTIVE, POSITIVE INTERACTIONS ALL  
8 CONTRIBUTED MIGHTILY TO THOSE DISCUSSIONS. AND SO  
9 PERSONALLY I WANT TO VERY MUCH THANK YOU FOR YOUR  
10 HARD WORK IN PREPARING FOR ALL THAT BECAUSE YOU HAD  
11 A DEFINITE IMPACT ON ME. I KNOW YOU HAD AN IMPACT  
12 ON MANY OTHERS. SO THANK YOU.

13 CHAIRMAN IMBASCIANI: THANK YOU, MARK.  
14 WHILE KEEPING THE FLOOR OPEN, THE CHAIR WILL  
15 ENTERTAIN A MOTION TO ACCEPT THIS RESOLUTION IN  
16 HONOR OF DAVID HIGGINS.

17 VICE CHAIR BONNEVILLE: SO MOVED.

18 DR. SOUTHARD: SECOND.

19 CHAIRMAN IMBASCIANI: DID YOU CAPTURE  
20 THAT? THANK YOU. OKAY. IS THERE ANY COMMENT  
21 COMING FROM OUTSIDE THE ROOM? OKAY. THAT INCLUDES  
22 THE PUBLIC, OF COURSE. SO I GUESS --

23 MS. MANDAC: NO PUBLIC COMMENT.

24 CHAIRMAN IMBASCIANI: NO PUBLIC COMMENTS.  
25 I THINK WE SHOULD CLOSE THE FORMALITY PART OF THIS.

**BETH C. DRAIN, CA CSR NO. 7152**

1 MR. TOCHER: I'LL DO A VOICE VOTE IN THE  
2 ROOM. AND I MUST POLL THE MEMBERS INDIVIDUALLY WHO  
3 ARE PARTICIPATING VIA ZOOM.

4 SO ALL THOSE IN FAVOR SAY AYE. THOSE  
5 OPPOSED? ANY ABSTENTIONS? AND I'LL POLL THE  
6 MEMBERS ON THE PHONE.

7 DAN BERNAL.

8 MR. BERNAL: ENTHUSIASTIC AYE.

9 MR. TOCHER: MONICA CARSON.

10 DR. CARSON: AYE.

11 MR. TOCHER: LEONDRA CLARK-HARVEY.

12 DR. CLARK-HARVEY: AYE.

13 MR. TOCHER: ANNE-MARIE DULIEGE.

14 DR. DULIEGE: AYE.

15 MR. TOCHER: RICH LAJARA.

16 MR. LAJARA: AYE.

17 MR. TOCHER: CHRIS MIASKOWSKI.

18 DR. MIASKOWSKI: YES.

19 MR. TOCHER: AND JOE PANETTA.

20 MR. PANETTA: VERY BIG AYE.

21 MR. TOCHER: THANK YOU. CONGRATULATIONS,  
22 DAVID.

23 (APPLAUSE.)

24 DR. HIGGINS: THANK YOU ALL FOR NOT JUST  
25 TODAY AND THIS BUT FOR 13 YEARS OF THIS. I FEEL

**BETH C. DRAIN, CA CSR NO. 7152**

1 HUMBLED AND APPRECIATIVE. AND THEY SAY THAT THE  
2 FIRST TIME YOU MEET A PERSON WITH PARKINSON'S IS THE  
3 SAME AS ANY OTHER TIME YOU'LL EVER MEET THEM. IT'S  
4 A CONFOUNDING, VICIOUS DISEASE THAT CIRM IS POISED,  
5 I THINK, TO CONTRIBUTE SIGNIFICANTLY TO A CURE.  
6 THERE ARE RUMORS OF CLINICAL TRIALS GOING ON IN  
7 HUMANS AS WE SPEAK YET TO BE CONFIRMED.

8 AS YOU HEARD FROM VITO, I HAVE A  
9 PARTICULARLY RICH BACKGROUND IN PARKINSON'S. I  
10 THINK I WAS -- IT WAS SORT OF FATE FROM THE  
11 BEGINNING BECAUSE IT COULD ALWAYS PUT YOU TO WORK  
12 DOING SOMETHING. I APPRECIATE BEING INCORPORATED  
13 INTO THE CIRM FAMILY, AND I REGRET THAT I AM  
14 LEAVING. BUT MEDICALLY MY TIME HAS COME AND IT WILL  
15 BE BETTER OFF WITH MY HUSBAND BATTLING THE NUANCES  
16 OF THIS DISEASE FROM AFAR. SO WITH THAT, THANK YOU  
17 VERY MUCH.

18 (APPLAUSE.)

19 CHAIRMAN IMBASCIANI: THANK YOU AGAIN,  
20 DAVID, AND WE WISH YOU VERY, VERY WELL.

21 OKAY. FROM THE SUBLIME TO THE LESS THAN  
22 SUBLIME, WE WILL MOVE TO THE CONSENT AGENDA. THERE  
23 ARE THREE ITEMS. I CAN TAKE YOU THROUGH THAT VERY  
24 QUICKLY. THERE IS A CONSIDERATION OF THE MINUTES  
25 FROM THE LAST MEETING, JUNE 26TH. I PERUSED THE

**BETH C. DRAIN, CA CSR NO. 7152**

1 MINUTES. I CAN'T FIND ANYTHING THAT NEEDS  
2 CORRECTION. THERE ARE SEVERAL APPOINTMENTS AND  
3 REAPPOINTMENTS TO THE GRANTS WORKING GROUP. THE  
4 APPOINTMENT IS OF OUR NEWEST BOARD MEMBER,  
5 MARGUERITE CASILLAS, THE PATIENT ADVOCATE FOR THE  
6 MULTIPLE SCLEROSIS PATIENT GROUP. AND REAPPOINTMENT  
7 OF THREE MEMBERS TO THEIR THIRD SIX-YEAR TERM:  
8 MARTIN PARA FROM THE UNIVERSITY OF MELBOURNE  
9 AUSTRALIA. RAJA RAJIV FROM GLAXOSMITHKLINE, AND  
10 STEVEN RUSSELL, BOTH OF BETA BIONICS AND HARVARD  
11 MEDICAL SCHOOL.

12 INCIDENTALLY, OUR VICE PRESIDENT FOR  
13 PORTFOLIO DEVELOPMENT AND REVIEW, GIL SAMBRANO, HAS  
14 PUT TOGETHER IN YOUR MEETING NOTES A REFERENCE BY  
15 URL TO A LIST OF ALL 269 MEMBERS OF THE GRANTS  
16 WORKING GROUP. THERE WAS SOME CURIOSITY AT THE LAST  
17 MINUTE OF WHO THESE PEOPLE ARE, WHAT THEIR FIELDS OF  
18 EXPERTISE ARE. HE'S VERY GENEROUSLY COMPILED THAT  
19 INTO A LIST THAT IS ACCESSIBLE TO YOU.

20 AND THE THIRD ITEM IN THE CONSENT AGENDA  
21 IS THE CONSIDERATION OF THE APPOINTMENT TO THE  
22 ACCESSIBILITY AND AFFORDABILITY WORKING GROUP OF THE  
23 GENTLEWOMAN TO MY LEFT, Yael WYTE.

24 SO THE WAY CONSENT AGENDAS WORK IS IF YOU  
25 WANT TO DISCUSS OR ARGUE OR DEBATE ANY PART OF THAT,

**BETH C. DRAIN, CA CSR NO. 7152**

1 PLEASE EXTRACT IT FROM THE CONSENT AGENDA.  
2 OTHERWISE, I'LL ENTERTAIN A MOVEMENT TO ACCEPT IT IN  
3 TOTO AND WE WILL VOTE ON IT. ARE THERE ANY  
4 EXTRACTIONS? HEARING NONE --

5 DR. BARRETT: I MOVE ACCEPTANCE OF THE  
6 CONSENT AGENDA.

7 CHAIRMAN IMBASCIANI: THANK YOU. AND A  
8 SECOND?

9 DR. CARETHERS: I SECOND.

10 JOHN CARETHERS SECONDS. THANK YOU.

11 ANY DISCUSSION OR COMMENT FROM THE PUBLIC?  
12 HEARING NONE, SCOTT, I THINK YOU CAN PROCEED.

13 MR. TOCHER: ALL THOSE IN FAVOR IN THE  
14 ROOM SAY AYE. THOSE OPPOSED OR ABSTAIN? AND I'LL  
15 POLL THE MEMBERS ON THE PHONE.

16 DAN BERNAL.

17 MR. BERNAL: AYE.

18 MR. TOCHER: MONICA CARSON.

19 DR. CARSON: AYE.

20 MR. TOCHER: LEONDRA CLARK-HARVEY.

21 DR. CLARK-HARVEY: AYE.

22 MR. TOCHER: ANNE-MARIE DULIEGE.

23 DR. DULIEGE: AYE.

24 MR. TOCHER: RICH LAJARA.

25 MR. LAJARA: AYE.

**BETH C. DRAIN, CA CSR NO. 7152**

1 MR. TOCHER: CHRIS MIASKOWSKI.

2 DR. MIASKOWSKI: YES.

3 MR. TOCHER: AND JOE PANETTA.

4 MR. PANETTA: YES.

5 MR. TOCHER: THANK YOU. THE MOTION  
6 CARRIES.

7 CHAIRMAN IMBASCIANI: THANK YOU, SCOTT.

8 WE'RE GOING TO MOVE NOW TO AGENDA ITEM NO.  
9 11, CONSIDERATION OF APPLICATIONS SUBMITTED IN  
10 RESPONSE TO OUR DISCOVERY PROGRAM ANNOUNCEMENT KNOWN  
11 AS DISC-0. AND I WILL ASK OUR VICE PRESIDENT FOR  
12 PORTFOLIO DEVELOPMENT AND REVIEW TO COME FORWARD,  
13 GIL SAMBRANO.

14 DR. SAMBRANO: GOOD AFTERNOON, MEMBERS OF  
15 THE BOARD, MEMBERS OF THE PUBLIC, COLLEAGUES. TODAY  
16 I'M GOING TO PRESENT TO YOU THE RECOMMENDATIONS FROM  
17 THE GRANTS WORKING GROUP RELATED TO THE DISC-0  
18 FOUNDATION AWARDS.

19 THE PROGRAM ALWAYS STARTS WITH A STATEMENT  
20 OF OUR MISSION. THIS IS SOMETHING WE DO AT THE  
21 GRANTS WORKING GROUP. WE DO THIS AT THE BOARD.  
22 IT'S AN IMPORTANT REMINDER TO ALL OF US WHY WE ARE  
23 HERE WHICH IS TO ACCELERATE WORLD-CLASS SCIENCE TO  
24 DELIVER TRANSFORMATIVE REGENERATIVE MEDICINE  
25 TREATMENTS IN AN EQUITABLE MANNER TO A DIVERSE



1 CALIFORNIA AND WORLD.

2 THE DISCOVERY PROGRAM ITSELF AT CIRM IS  
3 FOCUSED WITH A GOAL OF FUNDING EARLY STAGE  
4 REGENERATIVE MEDICINE SCIENCE AND TO ACCELERATE THE  
5 DEVELOPMENT OF POTENTIAL THERAPIES AND BIOMARKERS.

6 THE DISC-0 OPPORTUNITY ITSELF, ITS GOAL IS  
7 TO ACHIEVE A DISCOVERY OR TECHNOLOGY THAT ADDRESSES  
8 A KNOWLEDGE GAP THAT CAN ADVANCE ANY OF THESE FOUR  
9 ITEMS. THAT IS, UNDERSTANDING THE BIOLOGY OF STEM  
10 CELLS THAT'S RELEVANT TO HUMAN BIOLOGY AND DISEASE,  
11 THE APPLICATION OF GENETIC RESEARCH RELEVANT TO  
12 HUMAN BIOLOGY OR DISEASE, AS WELL AS THE DEVELOPMENT  
13 OF HUMAN STEM CELLS AS A TOOL FOR BIOMEDICAL  
14 INNOVATION AND THE GREATER APPLICABILITY OF  
15 REGENERATIVE MEDICINE DISCOVERIES TO ALL AFFECTED  
16 POPULATIONS.

17 THE STRUCTURE OF THE DISC-0 OPPORTUNITY IS  
18 IN THIS TABLE. SO FOR THIS PARTICULAR ROUND, THIS  
19 HAS NOT ALWAYS BEEN THE CASE FOR DISC-0, BUT FOR  
20 THIS ROUND WE HAD TWO TRACKS. WE HAD A SINGLE PI  
21 TRACK AND A TEAM TRACK. BOTH OF THEM OFFER AWARDS  
22 FOR THREE YEARS, THE BUDGET BEING COMMENSURATE WITH  
23 THE FACT THAT THE SINGLE TRACK SUPPORTS A SINGLE PI  
24 AND THEIR TEAM TO CONDUCT WORK; WHEREAS, THE TEAM  
25 TRACK PROVIDES UP TO 3 MILLION FOR THE PI PLUS UP TO

**BETH C. DRAIN, CA CSR NO. 7152**

1 TWO ADDITIONAL CO-INVESTIGATORS WHO ARE INTENDED TO  
2 BE COMPLEMENTARY TO THE WORK OF THE MAIN PI.

3 THE ALLOCATION FOR THIS ROUND OF DISC-0 --  
4 AND THIS IS THE LAST ROUND OF DISC-0. SO JUST A  
5 NOTE. WE ARE REPLACING THIS WITH WHAT WILL BE  
6 COMING, THE DISC5 PROGRAM. FOR THIS ROUND WE HAD  
7 74.2 MILLION ALLOCATED TO SUPPORT UP TO 20 TO 25  
8 AWARDS. NOW, I'LL REMIND YOU THAT THIS ALLOCATION  
9 WAS DOUBLED BACK IN JUNE IN ORDER TO ALLOW MORE  
10 MERITORIOUS PROJECTS TO BE FUNDED. IT WAS  
11 ORIGINALLY AT 37.1 MILLION.

12 SO A LITTLE BIT ABOUT THE REVIEW PROCESS  
13 ITSELF. THERE ARE FOUR STEPS THAT LIE BETWEEN THE  
14 SUBMISSION OF AN APPLICATION AND THE FINAL APPROVAL  
15 FOR FUNDING. IT BEGINS WITH AN ELIGIBILITY SCREEN  
16 AND DETERMINING BY CIRM STAFF WHETHER AN APPLICATION  
17 CAN BE REVIEWED, WHETHER IT HAS ALL THE APPROPRIATE  
18 ELEMENTS. FOR COMPETITIONS LIKE THIS ONE WHERE  
19 THERE IS A VERY HIGH DEMAND OF APPLICATIONS, AND  
20 I'LL SHOW YOU THAT IN A MINUTE, WE GO THROUGH A  
21 POSITIVE SELECTION PROCESS TO NARROW THE POOL OF  
22 APPLICATIONS TO THOSE THAT WILL ADVANCE TO A FULL  
23 DISCUSSION BY THE GRANTS WORKING GROUP. AND THE  
24 GRANTS WORKING GROUP THEN CONDUCTS A DISCUSSION.  
25 AND THE OUTCOME OF THAT IS A SCORING AND

1 RECOMMENDATION OF THOSE APPLICATIONS, AND WE BRING  
2 THOSE TO THE APPLICATION REVIEW SUBCOMMITTEE OF THE  
3 BOARD FOR FINAL DECISION-MAKING ON THOSE.

4 THE GRANTS WORKING GROUP PANEL ITSELF IS  
5 COMPOSED OF DIFFERENT MEMBERS AND DIFFERENT ROLES.  
6 SO WE HAVE THE SCIENTIFIC GRANTS WORKING GROUP  
7 MEMBERS WHO SERVE ON THE PANEL. THEY PARTICIPATE IN  
8 THE POSITIVE SELECTION PROCESS. SO THEY ARE THE  
9 MAIN DETERMINANTS OF WHAT ADVANCES. THE SCIENTIFIC  
10 EVALUATION IS BASED ON THE BROAD SUBJECTIVE AREA OR  
11 METHODS AND EXPERTISE THAT THEY BRING TO THE TABLE.  
12 AND THEY ALL ENTER A FINAL SCORE. SO THE SCORES YOU  
13 SEE ON THE APPLICATIONS HAVE ALL COME FROM THE  
14 SCIENTIFIC GRANTS WORKING GROUP MEMBERS.

15 PART OF THE GROUP ALSO INCLUDES OUR GRANTS  
16 WORKING GROUP BOARD MEMBERS, MEANING BOARD MEMBERS  
17 FROM THE ICOC, PATIENT ADVOCATE OR NURSE MEMBERS.  
18 THEY ALSO PARTICIPATE IN THE POSITIVE SELECTION  
19 PROCESS AND BRING THE PATIENT PERSPECTIVE ON THE  
20 SIGNIFICANCE AND POTENTIAL IMPACT OF PROJECTS TO THE  
21 TABLE AS WELL AS OVERSIGHT ON THE REVIEW ITSELF.  
22 THEY DO NOT ENTER SCORES.

23 AND THEN, LASTLY, WE HAVE VISITING  
24 SPECIALISTS. SO THE SPECIALISTS HELP AUGMENT THE  
25 EXPERTISE THAT WE HAVE ON THE PANEL. SCIENTIFIC

**BETH C. DRAIN, CA CSR NO. 7152**

1 EVALUATION BASED ON SPECIALIZED AREAS, AND THEY  
2 PROVIDE RECOMMENDED SCORES, BUT THEY DO NOT PROVIDE  
3 A FINAL SCORE. ONLY THE SCIENTIFIC GWG MEMBERS CAN  
4 DO THAT.

5 ALL RIGHT. SO A LITTLE BIT ABOUT THE  
6 NUMBERS AND HOW IT WENT FOR THIS CYCLE. WE STARTED  
7 WITH 372 APPLICATIONS THAT WERE SUBMITTED FOR THE  
8 CYCLE. THREE HUNDRED TWENTY-FIVE WERE DEEMED  
9 ELIGIBLE, AND THOSE WENT THROUGH THE POSITIVE  
10 SELECTION PROCESS. WE ACTUALLY RAN TWO CYCLES. SO  
11 THE WAY POSITIVE SELECTION WORKS, YOU CAN DO  
12 MULTIPLE ITERATIONS. AND WE GOT DOWN TO 51 THAT  
13 WERE SELECTED BY THE GRANTS WORKING GROUP.

14 I WANT TO NOTE HERE THAT FOR THIS  
15 PARTICULAR CYCLE WE TRIED A COUPLE OF NEW THINGS.  
16 ONE OF THEM WAS THAT WE RECRUITED 31 SCIENTISTS TO  
17 PARTICIPATE IN THE POSITIVE SELECTION PROCESS.  
18 NORMALLY WE USE SIMPLY THE 15 THAT COMPOSE THE PANEL  
19 OF THE DISCUSSION GROUP. BUT THIS TIME WE DECIDED,  
20 GIVEN THE LARGE DEMAND AND NUMBER OF APPLICATIONS,  
21 TO ENSURE THAT WE HAD AN APPROPRIATE BALANCE AND  
22 GOOD REPRESENTATION OF EXPERTISE, WE EXPANDED THE  
23 GROUP TO WHAT WE COULD, WHICH WAS 31.

24 SO FOLLOWING THE SELECTION, WE ENDED UP  
25 WITH 51 SELECTED AND THEN TEN THAT BYPASSED

1 SELECTION. AND THE BYPASS MEANS THAT THE APPLICANTS  
2 HAD SCORED BETWEEN 80 AND 85 IN THE PREVIOUS ROUND.  
3 SO THAT SCORE ALLOWS THEM TO BYPASS THE POSITIVE  
4 SELECTION AND GO DIRECTLY TO THE FULL MERIT REVIEW.

5 SO IN TOTAL 61 ADVANCED TO DISCUSSION BY  
6 THE GRANTS WORKING GROUP. AND THEN 25 WERE  
7 RECOMMENDED BY THE GRANTS WORKING GROUP FOR FUNDING.  
8 AND I'LL GIVE YOU MORE DETAILS ON THAT.

9 THE OTHER THING I WANT TO TELL YOU ABOUT  
10 IS THE SECOND THING WE TRIED IS THAT WE THOUGHT IT  
11 WOULD BE HELPFUL TO EXPAND THE EXPERTISE OF THE  
12 GRANTS WORKING GROUP, WHICH IS WHAT WE CALL A  
13 TRIPARTITE PANEL. AND SO THIS IS A PILOT AND A NEW  
14 APPROACH TO ASSEMBLING A GRANTS WORKING GROUP PANEL.

15 UNDER PROP 71 AND 14, IT LIMITS US IN  
16 TERMS OF THE NUMBER OF SCIENTIFIC MEMBERS THAT CAN  
17 BE ON THE SCORING PANEL TO 15. AND SO WE DEvised A  
18 WAY THAT WE CAN HAVE A PANEL THAT STILL RETAINS 15  
19 SCORING MEMBERS FOR EACH APPLICATION, BUT EXPANDS  
20 THE OVERALL PANEL TO ALLOW FOR ADDITIONAL EXPERTISE.

21 SO THE WAY THIS WORKS IS THE FULL PANEL IS  
22 22 INDIVIDUAL SCIENTISTS, 21 REVIEWERS PLUS THE  
23 CHAIR. AND WE DIVIDE THE MEETING UP INTO DIFFERENT  
24 SESSIONS. AND SO THE CHAIR MODERATES THE ENTIRE  
25 MEETING FROM START TO FINISH ACROSS ALL THREE

1 SESSIONS. AND THE PANELISTS ARE PARSED INTO  
2 DIFFERENT GROUPS, A, B, OR C, EACH HAVING ABOUT  
3 SEVEN MEMBERS. AND SO EVERY APPLICATION HAS 15  
4 SCORING PANELISTS.

5 SO THE CHAIR AND THEN, FOR EXAMPLE, IN  
6 SESSION ONE THE MEMBERS OF GROUP A AND B. AND THEN  
7 FOR SESSION 2 THE CHAIR PLUS GROUPS B AND C AND SO  
8 ON. AND SO THIS ALLOWED US TO EXPAND THE GROUP TO  
9 22 INSTEAD OF 15.

10 AND I'LL TELL YOU LOGISTICALLY IT WAS A  
11 LITTLE MORE CHALLENGING TO DO THIS, BUT I THINK  
12 OVERALL IT WAS SUCCESSFUL. I THINK IT DID ALLOW US  
13 TO EXPAND EXPERTISE, AND I THINK IT IS SOMETHING  
14 THAT WE WILL CONTINUE TO MOVE FORWARD AND EVOLVE AS  
15 WE MOVE FORWARD WITH OTHER FUNDING OPPORTUNITIES.

16 SO THE SCORING SCHEME FOR THE REVIEWERS  
17 THAT THEY USE TO SCORE THE APPLICATION IS ON A SCALE  
18 OF 1 TO 100. THE FINAL SCORE FOR AN APPLICATION IS  
19 THE MEDIAN. AND SO SCORES BETWEEN 85 AND 100 MEANS  
20 THAT THE APPLICATION HAS EXCEPTIONAL MERIT AND  
21 WARRANTS FUNDING. SCORES BELOW 85 MEAN THAT THE  
22 APPLICATION DOES NOT WARRANT FUNDING. AND BECAUSE  
23 THIS IS THE LAST DISC-0 COMPETITION, ANYTHING THAT  
24 SCORES BETWEEN AN 80 AND AN 84 IN THIS CASE WILL NOT  
25 BYPASS A FUTURE ROUND OF POSITIVE SELECTION.

**BETH C. DRAIN, CA CSR NO. 7152**

1 ALL RIGHT. THESE ARE THE REVIEW CRITERIA  
2 THAT THE PANEL USES TO SCORE ON WHICH THEY APPLY THE  
3 SCORES. DOES THE PROJECT HOLD THE NECESSARY  
4 SIGNIFICANCE AND POTENTIAL FOR IMPACT? MEANING WHAT  
5 VALUE DOES IT OFFER? AND IS IT SOMETHING THAT'S  
6 WORTH DOING? IN THIS CASE, SINCE WE HAVE THE TEAM  
7 TRACK, WE ALSO WANTED TO ENSURE THAT THERE WAS  
8 SYNERGY OR AN ADVANTAGE THAT IS PROVIDED BY THE TEAM  
9 COLLABORATION IN THESE PROJECTS SO THAT WAS AN  
10 ADDITIONAL ELEMENT THAT WAS ASSESSED. IS THE  
11 RATIONALE SOUND? IS THE PROJECT WELL PLANNED AND  
12 DESIGNED? IS IT FEASIBLE? IT DOES THE PROJECT  
13 INCLUDE CONSIDERATION FOR MAXIMIZING THE IMPACT OF  
14 SUCCESSFUL OUTCOMES ACROSS AFFECTED POPULATIONS?

15 OKAY. SO HERE IS A SUMMARY OF THE  
16 RECOMMENDATIONS FROM THE GRANTS WORKING GROUP. OF  
17 THE 61 APPLICATIONS, AS MENTIONED EARLIER, THERE  
18 WERE 25 TOTAL APPLICATIONS THAT RECEIVED A SCORE OF  
19 85 OR ABOVE, MAKING THEM RECOMMENDED FOR FUNDING.  
20 THAT TOTAL APPLICANT REQUEST IS 78.9 OR SO MILLION,  
21 WHICH IS ABOVE THE FUNDS AVAILABLE, WHICH ARE 74.2  
22 THAT WERE ALLOCATED FOR THIS ROUND.

23 SO AS A RESULT, THE CIRM TEAM SPENT SOME  
24 TIME LOOKING AT THESE APPLICATIONS. AND I WILL SHOW  
25 YOU IN THE SPREADSHEET, WHEN WE GET TO THAT, BUT I

**BETH C. DRAIN, CA CSR NO. 7152**

1 WILL EXPLAIN KIND OF HOW WE FOCUSED IN ON WHICH  
2 APPLICATIONS TO CONSIDER TO RECOMMEND AND WHICH ONES  
3 NOT TO.

4 SO LOOKING AT THE RANK ORDER, THE TOP 22  
5 APPLICATIONS HAD AT LEAST A TWO-THIRDS MAJORITY  
6 RECOMMENDATION FROM THE GRANTS WORKING GROUP. THERE  
7 ARE TWO COLUMNS THERE, ONE THAT HAS A YES OR A NO IN  
8 TERMS OF THE NUMBER OF GRANTS WORKING GROUP MEMBERS  
9 WHO SCORED 85 OR ABOVE AND THOSE THAT SCORED BEFORE.  
10 SO IT GIVES YOU AN INDICATION OF THE RELATIVE NUMBER  
11 OF MEMBERS THAT FELT THIS WAS A MERITORIOUS  
12 APPLICATION OR NOT.

13 SO WITH THAT, THE TOP 22 HAD A TWO-THIRDS  
14 MAJORITY. AND THE NEXT ONES, APPLICATIONS 23  
15 THROUGH 25, HAD SPLIT RECOMMENDATIONS. SO THE 23D  
16 HAD EIGHT RECOMMENDING TO FUND, SEVEN NOT, AND THE  
17 OTHER TWO WERE ACTUALLY EVENLY SPLIT WITH SEVEN  
18 MEMBERS EACH VOTING TO FUND AS WELL AS NOT TO FUND.

19 SO THE FOLLOWING ASSESSMENT BY THE PROGRAM  
20 TEAMS THAT'S LED BY DR. ROSA CANET-AVILES AND KELLY  
21 SHEPARD, THE TEAM LOOKED AT THESE APPLICATIONS. AND  
22 THEIR RECOMMENDATION IN TERMS OF WHICH APPLICATION  
23 TO FUND WAS DISC-0 17507. AND THERE ARE SEVERAL  
24 REASONS FOR THE RATIONALE BEHIND SUPPORTING THIS  
25 PARTICULAR APPLICATION.



1           THIS REPRESENTS A FIRST-TIME CIRM PI,  
2       WHICH BRINGS A NEW INVESTIGATOR INTO THE PORTFOLIO.  
3       IT ADDRESSES A DISEASE OF THE CNS, PARTICULARLY  
4       ALZHEIMER'S DISEASE, AND FOCUSES ON TAU PATHOLOGY,  
5       AND PROVIDES A FEASIBLE BUDGET. OF THE APPLICATIONS  
6       WE CONSIDERED, GIVEN THE ASSUMPTION THAT WE WOULD  
7       FUND EVERYTHING DOWN TO IN FULL RANK, SO THE TOP 22  
8       APPLICATIONS, THAT LEAVES US WITH 3 MILLION. AND SO  
9       THE SUM OF THOSE APPLICATIONS EXCEEDED THAT BUDGET.  
10      SO EVEN BY VIRTUE OF THE BUDGET, WE WOULD NOT BE  
11      ABLE TO FUND THOSE. THERE WERE TWO THAT WE COULD.  
12      AND SO THIS WAS ONE OF THEM. WHEN I SHOW YOU THE  
13      SPREADSHEET, I'LL POINT OUT THESE THINGS IN MORE  
14      DETAIL.

15           SO I WANT TO ALSO MENTION MINORITY REPORTS  
16      BECAUSE WE HAD ONE APPLICATION THAT QUALIFIED FOR  
17      ONE. SO WHAT A MINORITY REPORT IS, UNDER PROP 14,  
18      ANY APPLICATION THAT'S NOT RECOMMENDED FOR FUNDING  
19      BY THE GRANTS WORKING GROUP, BUT HAS 35 PERCENT OR  
20      MORE OF THE MEMBERS SCORE TO FUND THE APPLICATION  
21      MUST INCLUDE A MINORITY REPORT. SO THAT MEANS WE  
22      PUT TOGETHER A SUMMARY THAT WE ENSURE REVIEWERS FEEL  
23      REPRESENTS THEIR PERSPECTIVE ON THAT PARTICULAR  
24      APPLICATION. AND SO THAT IS INCLUDED WITHIN THE  
25      REVIEW SUMMARY FOR THOSE APPLICATIONS.

**BETH C. DRAIN, CA CSR NO. 7152**

1 SO THE ONE APPLICATION THAT QUALIFIED WAS  
2 DISC-0 17822, THE ROLE OF STEM CELL-LIKE T-CELLS IN  
3 AUTOIMMUNE DISEASES, AND THAT RECEIVED A SCORE OF  
4 83. AND THE SPLIT BETWEEN THE RECOMMENDED AND NOT  
5 RECOMMENDED WAS SEVEN THAT SCORED TO FUND AND EIGHT  
6 THAT SCORED NOT TO FUND. AND SO THAT APPLICATION  
7 WAS AMONG THE ONES THAT WERE CONSIDERED BY THE  
8 PROGRAMS TEAM IN TERMS OF DETERMINING WHICH ONES TO  
9 RECOMMEND.

10 AND SO WE'RE GOING TO TRANSITION OVER TO  
11 THE SPREADSHEET. THANK YOU.

12 MR. TOCHER: JUST TO THE MEMBERS, THIS  
13 SHEET IS ALSO AVAILABLE IN YOUR BOARDABLE. SO IF  
14 YOU FIND IT AS FUN AS I DO TO TRY TO READ THAT.

15 DR. SAMBRANO: YEAH. I APOLOGIZE.  
16 THERE'S A LOT OF DATA AND INFORMATION HERE. BUT THE  
17 REASON TO SHOW IT IS JUST TO SHOW YOU THE RANK ORDER  
18 OF THESE APPLICATIONS AND REALLY TO FOCUS IN ON  
19 PARTICULARLY THESE LAST THREE. BECAUSE YOU WILL  
20 NOTICE HERE IN THE COLUMN I WAS MENTIONING IN TERMS  
21 OF THE NUMBER OF GRANTS WORKING GROUP MEMBERS THAT  
22 VOTED OR THAT SCORED 85 OR ABOVE VERSUS THOSE THAT  
23 DID NOT, THERE WAS A CLEAR TWO-THIRDS MAJORITY ABOVE  
24 THESE THREE, BUT YOU HAVE EIGHT VERSUS SEVEN AND  
25 THEN EVEN SPLITS HERE, SEVEN AGAINST SEVEN.

1           ALSO, IF YOU LOOK AT THE BUDGET REQUEST,  
2       WITH 3 MILLION LEFT, ASSUMING EVERYTHING IS ACCEPTED  
3       DOWN TO THE 22D RANKING APPLICATION, THEN YOU HAVE  
4       THESE THREE.  THESE TWO, THE 17507 AND 17954, WOULD  
5       FIT WITHIN THE BUDGET.  1753 WOULD NOT, NOR WOULD  
6       THE ONE WITH THE MINORITY REPORT -- I APOLOGIZE FOR  
7       IT GOING BACK AND FORTH A LITTLE BIT -- IS THIS ONE  
8       HERE AT 4.6 MILLION.  PART OF THE REASON AND  
9       DIFFERENCE IN THE AWARD AMOUNTS IS WHETHER THEY ARE  
10      A TEAM TRACK OR SINGLE TRACK AWARD.  SO THE TEAM  
11      TRACK TEND TO BE THE MORE EXPENSIVE AND SEEM TO BE  
12      AT THE RANGE OF ABOUT 3 TO 4 MILLION; WHEREAS, THE  
13      SINGLE TRACK DO NOT.

14           SO, MR. CHAIRMAN, I WILL STOP HERE AND  
15      TAKE ANY QUESTIONS.

16           CHAIRMAN IMBASCIANI:  GREAT.  THANK YOU SO  
17      MUCH, GIL, FOR THAT REPORT.  APPRECIATE IT.  I'M  
18      JUST GOING TO, FIRST OF ALL, ASK SCOTT TO MAKE SOME  
19      COMMENTS MAYBE ON CONFLICT OF INTEREST.  BECAUSE  
20      THIS IS A LITTLE BIT CHOREOGRAPHED, I'M GOING TO ASK  
21      FOR A SERIES OF MOTIONS IN A PARTICULAR ORDER.  
22      SCOTT, WOULD YOU GO FIRST?

23           MR. TOCHER:  SURE.  AS MANY OF YOU MAY BE  
24      FAMILIAR, WHEN PROGRAMS ARE WHAT WE WOULD CALL OVER  
25      SUBSCRIBED, MEANING THERE ARE MORE APPLICATIONS

**BETH C. DRAIN, CA CSR NO. 7152**

1 PENDING A REQUEST FOR FUNDING THAN THERE IS A BUDGET  
2 TO COVER, WE CONSIDER THAT A MEMBER WITH CONFLICT AS  
3 TO ANY SINGLE APPLICATION IS IN CONFLICT AS TO THE  
4 ENTIRETY OF THE APPLICATIONS. SO UNTIL SUCH TIME AS  
5 THAT THE NUMBER OF APPLICATIONS PENDING IS WHITTLED  
6 DOWN TO A NUMBER THAT IS COVERED BY THE AVAILABLE  
7 BUDGET, SUCH MEMBERS WITH A CONFLICT SHOULD NOT  
8 PARTICIPATE IN ANY DISCUSSION OR ATTEMPT TO MAKE ANY  
9 MOTION. AND I WILL NOT CALL ON YOU FOR ANY  
10 SUBSEQUENT VOTES.

11 SO AT THE APPROPRIATE TIME, I WILL LET  
12 MEMBERS KNOW WHO HAVE A CONFLICT THAT IT'S OKAY TO  
13 PROCEED. SO I WILL JUST READ OFF THE NAMES OF THE  
14 VOTING MEMBERS OF THE APPLICATION REVIEW  
15 SUBCOMMITTEE WHO ARE SUBJECT TO THIS PROHIBITION  
16 RIGHT NOW. SO THOSE ARE MEMBERS MIASKOWSKI, BERNAL,  
17 FLOWERS, DURON, AND DAHL. SO THOSE MEMBERS SHOULD  
18 REFRAIN AS I INDICATED.

19 FOR THE NONVOTING MEMBERS WHO WOULD  
20 PARTICIPATE IN A DISCUSSION PERHAPS, THOUGH NOT  
21 VOTING OR MAKING MOTIONS, I WILL READ OFF THAT LIST.  
22 THIS IS NOT IN ALPHABETICAL ORDER. I'M SORRY  
23 BECAUSE I WAS GOING OFF THE SPREADSHEET THAT'S UP.  
24 SO LISTEN CAREFULLY PLEASE. ALMASRI YAMAMOTO,  
25 MELMED, CARETHERS, BARRETT, SACKY, GASSON, STARK,

**BETH C. DRAIN, CA CSR NO. 7152**

1 MELTZER, BLUMENTHAL, LEVITT, AND CARSON. THERE WAS  
2 PROBABLY A SHORTER WAY OF DOING THAT ALTERNATIVELY.  
3 SO WITH THAT --

4 CHAIRMAN IMBASCIANI: WITH THAT, THANK  
5 YOU, SCOTT. SO BECAUSE OF THE RUBRIC THAT HE JUST  
6 ELUCIDATED, LET ME JUST RECAP IN JUST A COUPLE OF  
7 SENTENCES THE COLORIMETRIC SCHEME THAT WE WERE JUST  
8 PRESENTED WITH. TWENTY-FIVE APPLICATIONS THAT HAVE  
9 SOME COLOR ATTACHED TO THEM ON THAT SPREADSHEET WERE  
10 RECOMMENDED FOR FUNDING BY THE GRANTS WORKING GROUP.  
11 HOWEVER, THAT TOTAL ASK IS 4 POINT SOME ODD MILLIONS  
12 OF DOLLARS ABOVE BUDGET. THIRTY-TWO IN WHITE WERE  
13 NOT RECOMMENDED, BUT THEN THE TEAM WENT BACK AND  
14 LOOKED, AS GIL VERY EXPERTLY EXPLAINED, USING THE  
15 CRITERIA THAT HE STATED, CHOSE TWO MORE OF THE 25  
16 THAT WERE PRESENTED BY THE GRANTS WORKING GROUP TO  
17 BE INCLUDED IN THE NOT RECOMMENDED FOR FUNDING,  
18 WHICH PUTS US IN BUDGET AND GIVES US THE MAXIMUM  
19 NUMBER OF FUNDABLE PROJECTS.

20 SO THE FIRST MOTION THE CHAIR WOULD VERY  
21 MUCH LIKE TO HEAR WOULD BE IS THERE ANYONE WHO WOULD  
22 LIKE TO CONSIDER FUNDING ANY APPLICATION THAT WAS  
23 NOT RECOMMENDED BY THE TEAM FOR FUNDING? LET ME  
24 TRANSLATE THAT. IS THERE ANYTHING IN THE WHITE OR  
25 ORANGE SECTION THAT YOU WOULD LIKE TO IN A SENSE

**BETH C. DRAIN, CA CSR NO. 7152**

1 ABSTRACT AND DISCUSS AND CONSIDER FOR FUNDING?

2 PLEASE LOOK AT THE ZOOM.

3 SO OKAY, NOT HEARING ANY ABSTRACTION FROM  
4 THAT, CAN I HAVE A MOTION TO NOT FUND ALL THE -- I  
5 WANT TO WORD THIS CORRECTLY -- TO NOT FUND ANY OF  
6 THE APPLICATIONS -- LET ME SAY IT AGAIN.

7 MR. TOCHER: CAN I OFFER A SUGGESTION?

8 CHAIRMAN IMBASCIANI: PLEASE. THANK YOU.

9 MR. TOCHER: PERHAPS THE NEXT WOULD BE IS  
10 THERE -- ARE THERE ANY APPLICATIONS IN THE FUND  
11 TERRITORY, THE GREEN, THAT ANYONE WOULD WISH TO MOVE  
12 DOWN BASICALLY INTO THE NOT FUND CATEGORY? RIGHT  
13 NOW THE TEAM'S RECOMMENDATION, AS YOU HEARD, IS TO  
14 NOT FUND --

15 CHAIRMAN IMBASCIANI: SO BASICALLY SCOTT'S  
16 SUGGESTING DO YOU WANT TO REMOVE SOMETHING FROM THE  
17 RECOMMENDED FOR FUNDING CATEGORY TO THE NOT  
18 RECOMMENDED FOR FUNDING?

19 DR. CARETHERS: MAY I ASK A QUESTION?

20 MR. TOCHER: YOU DO HAVE A CONFLICT. IS  
21 IT JUST A PROCESS POINT?

22 DR. CARETHERS: JUST A GENERAL QUESTION.  
23 THAT IS, WILL THERE BE ANOTHER ROUND OF DISCOVERY?  
24 THAT'S THE QUESTION I WANT TO ASK, OR IS THIS THE  
25 LAST ONE?

**BETH C. DRAIN, CA CSR NO. 7152**

1 CHAIRMAN IMBASCIANI: IT WAS STATED THIS  
2 IS THE LAST DISCOVERY 0.

3 DR. CANET-AVILES: DISC-0 AS IT WAS, NO.  
4 HOWEVER, WE ARE DEVELOPING THE NEW DISC5, WHICH IS  
5 GOING TO BE FOUNDATIONAL BIOLOGY, THAT'S GOING TO  
6 COVER THE SCOPE OF WHAT THESE AWARDS IN THIS PROGRAM  
7 WAS DOING. SO THERE'S GOING TO BE OPPORTUNITIES TO  
8 APPLY AT SMALL ONE-PERSON PI TRACK AS WELL AS A  
9 COUPLE TRACKS.

10 DR. CARETHERS: THANK YOU.

11 CHAIRMAN IMBASCIANI: OKAY. I THINK WE  
12 ARE AT A POINT WHERE I THINK THE CHAIR WOULD LIKE  
13 TO --

14 MS. MANDAC: JOE HAS HIS HAND RAISED.

15 MR. PANETTA: THANK YOU. SO I GUESS THIS  
16 IS KIND OF A HYBRID QUESTION IF I CAN ASK IT AT THIS  
17 POINT. TELL ME IF I CAN'T. I'M LOOKING AT THE DISC  
18 THAT ENDS IN 507 VERSUS 513. AND I'M TRYING TO  
19 UNDERSTAND WHY WE HAVE REMOVED ONE THAT RECEIVED  
20 MORE GWG VOTES AND ARE KEEPING ONE, AND I KNOW IT'S  
21 RELATIVELY SMALL DIFFERENCE, BUT BOTH HAD A SCORE OF  
22 85, AND THE CIRM TEAM RECOMMENDED WE NOT APPROVE  
23 513. I'D JUST LIKE TO UNDERSTAND JUST BRIEFLY WHY  
24 THERE'S THAT DISCREPANCY IN THOSE TWO THAT ARE SO  
25 CLOSE TOGETHER. IS THAT APPROPRIATE TO ASK?

1 MR. TOCHER: YES.

2 CHAIRMAN IMBASCIANI: YES.

3 MR. PANETTA: THANK YOU.

4 DR. SAMBRANO: THANK YOU FOR THE QUESTION.

5 SO THE MAIN REASON IS ACTUALLY DUE TO THE BUDGET.

6 SO YOU WILL NOTICE THAT IF WE FUND EVERYTHING DOWN  
7 TO 18038, WHICH IS THE ONE ABOVE 17513, THAT LEAVES  
8 US WITH 3 MILLION. SO THE NEXT ONE, WHICH IS THE  
9 513, EXCEEDS THE BUDGET. THE NEXT TWO DO NOT. AND  
10 SO THOSE, AT LEAST JUST BASED SIMPLY ON THE BUDGET,  
11 ALLOWS US TO FUND ONE OR THE OTHER.

12 THERE WAS ALSO AN ASSESSMENT  
13 SCIENTIFICALLY BY THE PROGRAM TEAMS IN TERMS OF  
14 LOOKING AT THE DIFFERENCE BENEFITS OF THESE. AND AS  
15 I MENTIONED EARLIER, THE 17507 HAS A PI THAT WOULD  
16 BE NEW TO THE CIRM PORTFOLIO. AND IT ALSO IS AN  
17 APPLICATION THAT ADDRESSES ALZHEIMER'S DISEASE. SO  
18 IT IS CENTRAL TO THE PRIORITY OF CNS INDICATIONS.  
19 SO THOSE ARE THE REASONS FOR WHY THESE WERE  
20 SELECTED.

21 MR. PANETTA: THANKS.

22 CHAIRMAN IMBASCIANI: JOE, DID THAT  
23 SATISFY?

24 MR. PANETTA: YES. THANK YOU.

25 CHAIRMAN IMBASCIANI: THANK YOU. SO I



1 THINK --

2 MS. MANDAC: WE ALSO HAVE ANNE-MARIE.

3 DR. DULIEGE: JUST ONE CLARIFICATION.

4 ROSA, I THINK I HEARD YOU SAYING THAT FOR THOSE  
5 APPLICATIONS THAT ARE NOT SELECTED THIS TIME, THEY  
6 MAY HAVE AN OPPORTUNITY TO PRESENT AGAIN OR TO APPLY  
7 AGAIN FOR DISC5 IN THE NEAR FUTURE; IS THAT CORRECT?

8 DR. CANET-AVILES: YES. AND IT'S COMING  
9 VERY SOON. SO THERE'S THE OPPORTUNITY FOR DISC5  
10 WHICH WILL BE PAIRS OF INVESTIGATORS.

11 ONLY AS A CLARIFICATION, CAN I ADD THE  
12 CLARIFICATION? IS THAT OKAY? DID I ANSWER YOUR  
13 QUESTION, ANNE-MARIE?

14 DR. DULIEGE: I DID. I HAVE ANOTHER  
15 QUESTION, BUT PLEASE GO WITH THE CLARIFICATION  
16 FIRST.

17 DR. CANET-AVILES: YOU ARE A BOARD MEMBER.  
18 YOU GO FIRST.

19 DR. DULIEGE: IT DOESN'T MATTER SO MUCH.  
20 BACK TO GIL, THE DIFFERENCE BETWEEN THE LAST TWO  
21 COLOR, IT'S THE 507 AND 954. ONE WAS ACCEPTED, THE  
22 OTHER ONE NOT. THEY STILL, HOWEVER, BOTH FIT INTO  
23 THE BUDGET ENVELOPE THAT WE HAVE. THE REASONS WHY  
24 ONE WAS ACCEPTED AND THE OTHER ONE NOT WERE NOT  
25 SCIENTIFIC IN NATURE. NOT BASED ON THE MERIT OF THE

1 APPLICATION, BUT RATHER ONE IS IN THE NEUROLOGICAL  
2 TYPE OF SCIENTIFIC AREAS.

3 IT BOTHERS ME A LITTLE BIT THAT THIS IS  
4 NOT BASED ON SCIENTIFIC MERIT BETWEEN THESE TWO. DO  
5 YOU HAVE COMMENTS?

6 DR. SAMBRANO: SO IT ISN'T ON SCIENTIFIC  
7 MERIT.

8 DR. CANET-AVILES: IT WAS PORTFOLIO.

9 DR. SAMBRANO: CORRECT. I THINK ROSA CAN  
10 EXPAND ON THE RATIONALE FOR THOSE TWO.

11 DR. CANET-AVILES: CAN YOU TELL ME? IT  
12 WAS 507 AND THE OTHER ONE 954?

13 DR. DULIEGE: YES.

14 DR. CANET-AVILES: SO THE DIFFERENCE --  
15 THERE WERE THREE CRITERIA THAT WE LOOKED AT THAT  
16 WERE OBJECTIVE CRITERIA. WE WERE NOT REVIEWING  
17 ANYTHING. ONE WAS PORTFOLIO. SO THE ALZHEIMER'S,  
18 ALSO THE APOE, NEITHER OF THEM ARE IN THE PORTFOLIO,  
19 SO THEY COULD HAVE COME IN FOR THAT REASON IF WE HAD  
20 THE MONEY FOR BOTH OF THEM.

21 SECOND CRITERIA WAS AN AMOUNT OF FUNDING.  
22 AND THEY BOTH HAD -- THE THIRD CRITERIA WAS WHETHER  
23 THIS WAS THE FIRST CHANCE FOR A PI, THAT WAS IT WAS  
24 THE FIRST TIME THAT THEY APPLIED TO CIRM, AND THIS  
25 WAS A FIRST-TIME PI AT CIRM. THAT'S WHAT

**BETH C. DRAIN, CA CSR NO. 7152**

1 DIFFERENTIATED THEM BOTH.

2 DR. DULIEGE: THANK YOU. I UNDERSTAND  
3 THAT. ONE HAS TO FIND CRITERIAS. BUT THEY BOTH  
4 WERE OF EQUAL SCIENTIFIC MERIT OR NEARLY EQUAL  
5 SCIENTIFIC MERIT.

6 DR. SAMBRANO: CORRECT. BASED ON WHAT THE  
7 GRANTS WORKING GROUP PROVIDED, YES.

8 DR. DULIEGE: OKAY. THANK YOU.

9 CHAIRMAN IMBASCIANI: OKAY. THANK YOU,  
10 ROSA AND GIL.

11 SO I THINK I'D LIKE TO DO TWO MOTIONS. WE  
12 COULD MOVE TO NOT FUND THOSE NOT RECOMMENDED, OR WE  
13 CAN GO DIRECTLY TO FUND THOSE RECOMMENDED FOR  
14 FUNDING BY THE TEAM. CAN WE GO DIRECTLY TO THE LAST  
15 OPTION?

16 MR. TOCHER: I WOULD GO TO ACTUALLY THE  
17 CONVERSE. IT WOULD ALLOW ONE MORE MEMBER TO  
18 PARTICIPATE. SO NOT FUND THOSE THAT THE TEAM HAS  
19 RECOMMENDED FOR NOT FUNDING.

20 CHAIRMAN IMBASCIANI: GOOD. THAT'S WHAT I  
21 WAS PREPARED TO DO.

22 MR. TOCHER: AND THAT MOTION CAN ONLY BE  
23 MADE AND SECONDED BY A MEMBER WHO HAS NO CONFLICT AS  
24 TO ANY OF THEM.

25 CHAIRMAN IMBASCIANI: SO YOU ALL HEARD

**BETH C. DRAIN, CA CSR NO. 7152**

1 THAT. SO I WOULD LOVE TO HEAR A MOTION TO NOT FUND  
2 THOSE APPLICATIONS RECOMMENDED BY THE TEAM NOT TO BE  
3 FUNDED.

4 DR. FISCHER-COLBRIE: SO MOVED.

5 DR. SOUTHARD: SECOND.

6 MR. TOCHER: I DIDN'T CATCH THE FIRST.

7 CHAIRMAN IMBASCIANI: I DIDN'T EITHER.

8 MARK FISCHER-COLBRIE.

9 MR. TOCHER: AND THE SECOND WAS MARV?

10 CHAIRMAN IMBASCIANI: THAT'S THE MOTION ON  
11 THE FLOOR. IS THERE ANY DISCUSSION FROM BOARD  
12 MEMBERS? OR FROM MEMBERS OF THE PUBLIC?

13 MS. MANDAC: THERE ARE NO HANDS RAISED.

14 CHAIRMAN IMBASCIANI: THERE ARE NOT.

15 SCOTT, YOU MAY PROCEED TO A VOTE.

16 MR. TOCHER: MARGUERITE CASILLAS.

17 MS. CASILLAS: AYE.

18 MR. TOCHER: LEONDRA CLARK-HARVEY.

19 DR. CLARK-HARVEY: AYE.

20 MR. TOCHER: ANNE-MARIE DULIEGE.

21 DR. DULIEGE: AYE.

22 MR. TOCHER: MARK FISCHER-COLBRIE.

23 MR. FISCHER-COLBRIE: AYE.

24 MR. TOCHER: DAVID HIGGINS.

25 DR. HIGGINS: YES.

**BETH C. DRAIN, CA CSR NO. 7152**

1 MR. TOCHER: VITO IMBASCIANI.

2 CHAIRMAN IMBASCIANI: AYE.

3 MR. TOCHER: RICH LAJARA.

4 MR. LAJARA: AYE.

5 MR. TOCHER: ADRIANA PADILLA. JOE

6 PANETTA.

7 MR. PANETTA: YES.

8 MR. TOCHER: MARV SOUTHARD.

9 DR. SOUTHARD: YES.

10 MR. TOCHER: Yael WYTE.

11 MS. WYTE: YES.

12 MR. TOCHER: THANK YOU VERY MUCH. THAT

13 MOTION CARRIES.

14 CHAIRMAN IMBASCIANI: OKAY. THANK YOU.

15 NOW THE CHAIR WOULD LIKE TO HEAR A MOTION

16 RECOMMENDING FOR FUNDING ALL THOSE APPLICATIONS

17 RECOMMENDED BY THE TEAM TO BE FUNDED.

18 DR. SOUTHARD: SO MOVED.

19 CHAIRMAN IMBASCIANI: THAT WAS MARVIN.

20 AND?

21 DR. FISCHER-COLBRIE: SECOND.

22 CHAIRMAN IMBASCIANI: IS THERE ANY

23 DISCUSSION ON THIS ITEM? AND NOTHING FROM THE

24 PUBLIC? WE WOULD LOVE TO HEAR. CLAUDETTE, CAN YOU

25 NAVIGATE THAT?

**BETH C. DRAIN, CA CSR NO. 7152**

1 MS. MANDAC: YES. SO WE DO HAVE A MEMBER  
2 IN PERSON AS WELL AS MEMBERS ON ZOOM WHO WISH TO  
3 MAKE PUBLIC COMMENT. WE WILL START WITH THE MEMBER  
4 WHO IS ATTENDING IN PERSON, WHO'S AUDREY. YOU CAN  
5 MAKE YOUR WAY TO THE MICROPHONE. NEXT UP WILL BE  
6 ZOE ON ZOOM. SO ALL OF OUR PUBLIC COMMENTATORS, YOU  
7 WILL HAVE THREE MINUTES. WE WILL KEEP TIME. WE  
8 WILL MUTE YOU OUT OF FAIRNESS ONCE WE REACH THE  
9 THREE MINUTES. AUDREY, YOUR TIME STARTS NOW.

10 MS. DAVIDAU: THANK YOU SO MUCH FOR HAVING  
11 ME. I'M SO GRATEFUL TO BE IN THE ROOM WITH SO MANY  
12 INCREDIBLE PEOPLE AND SCIENTISTS DEVOTED TO REALLY  
13 MAKING A DIFFERENCE. I'M ALSO GRATEFUL, SO GRATEFUL  
14 TO BE FROM THE GREAT STATE OF CALIFORNIA WHERE  
15 SOMETHING AS MEANINGFUL AND IMPACTFUL AS CIRM CAN  
16 EXIST.

17 MY NAME IS AUDREY DAVIDAU. I'M THE  
18 PRESIDENT OF THE PITT HOPKINS RESEARCH FOUNDATION  
19 AND ABOVE ALL THE MOTHER OF A 14-YEAR-OLD SON WITH  
20 PITT HOPKINS SYNDROME. THIS RARE NEURODEVELOPMENTAL  
21 DISORDER SHAPES EVERY MOMENT OF OUR LIVES. MY SON  
22 CANNOT SPEAK OR WALK AND LACKS THE MOTOR CONTROL TO  
23 EVEN TURN A PAGE OR USE THE REMOTE CONTROL.  
24 EVERYTHING, FEEDING, DRESSING, DIAPER CHANGES, AND  
25 FLUSHING HIS STOMACH SO HE CAN HAVE A BOWEL MOVEMENT

1     MUST BE DONE FOR HIM.

2             BUT DON'T MISTAKE HIS DEPENDENCE FOR LACK  
3     OF AWARENESS. HE IS BRIGHT AND DEEPLY PRESENT, BUT  
4     WITHOUT THE MOTOR AND SPEECH ABILITIES TO SHOW IT.  
5     THAT MISMATCH CAUSES OVERWHELMING ANXIETY AND  
6     FRUSTRATION, AND LATELY HE'S BEEN PUNCHING HIS FACE  
7     ALL DAY LONG OUT OF SHEER DISTRESS.

8             PITT HOPKINS HAS BEEN DESCRIBED AS AUTISM,  
9     EPILEPSY, SEVERE GUT AND BREATHING DISORDERS,  
10    CEREBRAL PALSY, AND PROFOUND ANXIETY ROLLED INTO A  
11    CHILD WHO CANNOT SPEAK. LIKE SO MANY FAMILIES IN  
12    OUR COMMUNITY, WE WITH THE DESPERATION OF KNOWING  
13    THERE IS NO MEDICINE DESIGNED TO HELP HIM.

14            THAT'S WHY I'M HERE TODAY TO STRONGLY  
15    SUPPORT THE IRIS MEDICINE DISC-0 17998 APPLICATION.  
16    THE IRIS TEAM IS PARTNERING WITH DR. GENE YU'S RNA  
17    LAB AT UC SAN DIEGO TO APPLY THEIR NOVEL RNA  
18    TECHNOLOGY TO GENE ACTIVATION. THEIR PLATFORM,  
19    SMALL BINDING RNA, SBRNA, OFFERS A SAFE, EFFECTIVE,  
20    AND DURABLE WAY TO BOOST EXPRESSION OF GENES THAT  
21    ARE NOT FUNCTIONING PROPERLY. IRIS HAS ALREADY  
22    SHOWN PROGRESS TOWARD THE CLINIC FOR REPEAT  
23    EXPANSION DISORDERS, AND PITT HOPKINS COULD BE NEXT.

24            COMPARED TO VIRAL GENE THERAPY, SBRNA  
25    OFFERS CLEAR ADVANTAGES. IT DOES NOT USE VIRUSES,

1 ALLOWING FOR A LESS INVASIVE ROUTE. INTRATHECAL  
2 DOSING TWICE A YEAR INSTEAD OF DIRECT BRAIN  
3 INJECTION.

4 A SECOND ADVANTAGE IS THAT SBRNA DRIVES  
5 EXPRESSION FROM THE BODY'S ENDOGENOUS PROMOTER.  
6 THIS IS PARTICULARLY IMPORTANT FOR PITT HOPKINS  
7 BECAUSE IT MEANS THE THERAPY COULD RESTORE ALL  
8 NATURAL TCF ISOFORMS, AND THERE ARE A LOT OF TCF  
9 ISOFORMS; WHEREAS, VIRAL GENE THERAPY WOULD  
10 GENERALLY PRODUCE ONLY ONE. THAT NUANCE COULD BE  
11 CRITICAL FOR FULLY RESTORING TCF DIVERSE BRAIN  
12 FUNCTIONS.

13 FOR RARE CONDITIONS LIKE PITT HOPKINS,  
14 CIRM IS REALLY OUR ONLY HOPE. AND IMPORTANTLY, THIS  
15 APPROACH HAS BROADER IMPLICATIONS. IT COULD EXTEND  
16 TO OTHER HAPLO INSUFFICIENCIES, INCLUDING SEVERE  
17 EPILEPSY, SUCH AS SYNGAP, DRAVET, AND OTHER CERTAIN  
18 CARDIOMYOPATHIES. FOR MY SON AND THOUSANDS OF  
19 OTHERS, EVERY DAY WITHOUT MEDICINE IS A DAY LOST. A  
20 THERAPY THAT CAN RESTORE TCF4 EXPRESSION WOULDN'T  
21 JUST EASE SYMPTOMS, IT WOULD CHANGE LIVES. I URGE  
22 YOU TO SUPPORT THIS APPLICATION AND HELP BRING SBRNA  
23 TECHNOLOGY TO FAMILIES LIKE MINE. THANK YOU. AND  
24 IF I HAVE A LITTLE EXTRA TIME --

25 MS. MANDAC: I'M SORRY. PERFECT TIMING,



**BETH C. DRAIN, CA CSR NO. 7152**

1 AUDREY. THANK YOU SO MUCH.

2 NEXT WE HAVE ZOE BAILEY FROM THE SYNGAP  
3 RESEARCH FOUNDATION SPEAKING FOR THE SAME  
4 APPLICATION, DISC-0 17998. SO YOU HAVE THE FLOOR.

5 MS. BAILEY: HI, EVERYBODY. THANK YOU SO  
6 MUCH FOR BEING HERE, AND I WANT TO ECHO WHAT THE  
7 PREVIOUS PRESENTER SAID. AND IT'S JUST AN HONOR TO  
8 BE HERE IN CALIFORNIA DURING THESE TIMES.

9 SO MY NAME IS ZOE BAILEY. I JUST WANT TO  
10 SHOW YOU THE SWEET LITTLE PICTURE OF MY DAUGHTER.  
11 THIS IS KAIA BAILEY. SHE'S MY BEAUTIFUL,  
12 ADVENTUROUS, FUNNY FIVE-YEAR-OLD DAUGHTER, AND SHE  
13 WAS DIAGNOSED WITH SYNGAP1 RELATED DISORDER ON MARCH  
14 23, 2023, A DAY ETCHED IN MY MEMORY FOREVER. LIFE  
15 BEFORE THAT DATE FEELS ABSOLUTELY UNRECOGNIZABLE.  
16 THAT SINGLE DIAGNOSIS INTRODUCED US TO THE WORLD OF  
17 RARE DISEASE, A WORLD I DON'T WISH ON ANYONE. THIS  
18 WORLD IS FULL OF HEARTBREAK, GRIEF, AND EXHAUSTED  
19 PARENTS. IT ALSO IS A WORLD THAT'S UNDERFUNDED AND  
20 UNDERREPRESENTED.

21 I ALREADY KNEW MY DAUGHTER WOULD FACE  
22 BARRIERS THAT HER WHITE PEERS WOULD NOT, BUT NOTHING  
23 PREPARED ME FOR THE REALITY THAT KAIA'S OPPORTUNITY  
24 WOULD ALSO BE LIMITED BY THE WAY HER BODY AND BRAIN  
25 FUNCTION. SYNGAP1 HAS RESHAPED EVERY PART OUR

1 FAMILY'S LIFE. KAIA EXPERIENCES INTENSE EMOTIONAL  
2 OUTBURSTS, SEIZURES, AGGRESSIVE BEHAVIORS,  
3 INTELLECTUAL DISABILITY, HYPOTONIA, JUST TO NAME A  
4 FEW. SHE'S NOT POTTY TRAINED, CANNOT ADEQUATELY  
5 DRESS OR FEED HERSELF, IS MINIMALLY VERBAL, AND  
6 DOESN'T UNDERSTAND DANGER.

7 SHE'S OFTEN EXTREMELY -- HAS AGGRESSIVE  
8 OUTBURSTS TOWARDS HER YOUNGER SISTER WHO, DESPITE  
9 BEING TWO YEARS YOUNGER, HAS ALREADY SURPASSED HER  
10 DEVELOPMENTALLY. SHE WILL ALWAYS BE HER BIG LITTLE  
11 SISTER. AND IF KAIA DOES NOT RECEIVE EFFECTIVE  
12 THERAPEUTICS, WE WILL ONE DAY DEPEND ON HER TO BE  
13 KAIA'S CAREGIVER. THAT IS A WEIGHT THAT NO MOTHER  
14 OR CHILD SHOULD EVER HAVE TO CARRY.

15 AND THE TRUTH IS SYNGAP1 IS NOT JUST A  
16 CHILD DISORDER. WITHOUT EFFECTIVE TREATMENT KAIA  
17 WILL NEVER LIVE INDEPENDENTLY. HER SEIZURES, WHICH  
18 WE KNOW WILL WORSEN OVER TIME AND CREATE RISKS, WILL  
19 WORSEN OVER TIME AS WILL THE RISKS. SHE WILL  
20 REQUIRE 24-HOUR CARE FOR THE REST OF HER LIFE.

21 EVERYDAY LIFE ALREADY TAKES EVERYTHING WE  
22 HAVE. IN JUST THE LAST TWO WEEKS, KAIA HAS SPENT 24  
23 HOURS IN THE HOSPITAL FOR AN EEG, HAS DRIVEN NEARLY  
24 TWO HOURS BACK AND FORTH FOR ORTHOTICS TO SUPPORT  
25 HER UNSTEADY GAIT, AND WE LOGGED 20 HOURS OF

**BETH C. DRAIN, CA CSR NO. 7152**

1 SPECIALIZED THERAPEUTICS ON TOP OF THE DAILY  
2 STRUGGLES OF TANTRUMS, TRANSITIONS, AND EMOTIONAL  
3 DYSREGULATION.

4 BALANCING MY MARRIAGE, MY CAREER, AND MY  
5 OWN WELL BEING OFTEN FEELS IMPOSSIBLE. YET WE ARE  
6 CONSIDERED LUCKY BECAUSE KAIA'S DIAGNOSIS CAME EARLY  
7 BECAUSE WE HAD ACCESS TO EXCELLENT MEDICAL CARE AND  
8 RESOURCES. AS A LICENSED CLINICAL SOCIAL WORKER  
9 MYSELF, I KNEW THE URGENCY OF EARLY INTERVENTION AND  
10 WAS RELENTLESS IN SEEKING ANSWERS.

11 WE ARE SO LUCKY TO ALSO HAVE FOUND AN  
12 AMAZINGLY STRONG PATIENT ADVOCACY GROUP, THE SYNGAP  
13 RESEARCH FUND, WHICH IS FIGHTING EVERY DAY FOR A  
14 BETTER FUTURE. I NOW VOLUNTEER WITH THIS  
15 ORGANIZATION BECAUSE THERE IS NOTHING MORE IMPORTANT  
16 THAN WORKING TOWARDS GIVING KAIA AND OTHER KIDS LIKE  
17 HER THE BEST CHANCE. STILL TOO MANY FAMILIES NEVER  
18 GET THIS CHANCE. TOO MANY CHILDREN GO UNDIAGNOSED,  
19 AND TOO MANY PARENTS ARE LEFT --

20 MS. MANDAC: THANK YOU SO MUCH, ZOE, FOR  
21 SHARING YOUR STORY ABOUT KAIA.

22 WE ARE CHECKING JUST BECAUSE ONE PERSON  
23 JUST ENTERED THE WAITING ROOM TO SEE IF ANY MORE  
24 HANDS ARE RAISED. ALL RIGHT. SEEING NONE, NO MORE  
25 PUBLIC COMMENT.

**BETH C. DRAIN, CA CSR NO. 7152**

1 CHAIRMAN IMBASCIANI: THERE IS NONE.  
2 THANK YOU SO MUCH, CLAUDETTE, FOR MANAGING THAT.  
3 SCOTT, NO FINAL COMMENTS FROM BOARD MEMBERS, WE'RE  
4 GOING TO PROCEED TO A VOTE.

5 MR. TOCHER: ALL RIGHT. SO A LITTLE  
6 CHANGE. FOR THOSE VOTING MEMBERS WHO HAD A CONFLICT  
7 AND HAD TO REFRAIN FROM PARTICIPATING, WHEN I CALL  
8 YOUR NAME FOR THIS FINAL VOTE, YOU CAN INDICATE AYE  
9 OR NAY, WHATEVER YOUR VOTE IS, AND THEN JUST ADD  
10 EXCEPT FOR THOSE APPLICATIONS WITH WHICH I HAVE A  
11 CONFLICT OR SOME VERSION OF THAT. AS A REMINDER,  
12 THOSE MEMBERS ARE BERNAL, DURON, DAHL, FLOWERS, AND  
13 MIASKOWSKI.

14 DAN BERNAL.

15 MR. BERNAL: AYE, EXCEPT FOR THOSE WITH  
16 WHICH I HAVE A CONFLICT.

17 MR. TOCHER: MARIA.

18 VICE CHAIR BONNEVILLE: YES.

19 MR. TOCHER: MARGUERITE CASILLAS.

20 MS. CASILLAS: AYE.

21 MR. TOCHER: LEONDRA CLARK-HARVEY.

22 DR. CLARK-HARVEY: AYE.

23 MR. TOCHER: SHANNON DAHL.

24 DR. DAHL: AYE, EXCEPT FOR THOSE  
25 APPLICATIONS WITH WHICH I HAVE A CONFLICT.

**BETH C. DRAIN, CA CSR NO. 7152**

1 MR. TOCHER: ANNE-MARIE DULIEGE.  
2 DR. DULIEGE: AYE.  
3 MR. TOCHER: YSABEL DURON.  
4 MS. DURON: AYE, EXCEPT FOR THOSE  
5 APPLICATIONS WITH WHICH I HAVE A CONFLICT.  
6 MR. TOCHER: MARK FISCHER-COLBRIE.  
7 MR. FISCHER-COLBRIE: AYE.  
8 MR. TOCHER: ELENA FLOWERS.  
9 DR. FLOWERS: YES, EXCEPT FOR THOSE  
10 APPLICATIONS WITH WHICH I HAVE A CONFLICT.  
11 MR. TOCHER: DAVID HIGGINS.  
12 DR. HIGGINS: YES.  
13 MR. TOCHER: VITO IMBASCIANI.  
14 CHAIRMAN IMBASCIANI: YES.  
15 MR. TOCHER: RICH LAJARA.  
16 MR. LAJARA: YES.  
17 MR. TOCHER: CHRIS MIASKOWSKI.  
18 DR. MIASKOWSKI: YES, EXCEPT FOR THOSE  
19 APPLICATIONS WITH WHICH I HAVE A CONFLICT.  
20 MR. TOCHER: JOE PANETTA.  
21 MR. PANETTA: YES.  
22 MR. TOCHER: MARV SOUTHARD.  
23 DR. SOUTHARD: YES.  
24 MR. TOCHER: Yael WYTE.  
25 MS. WYTE: YES.

**BETH C. DRAIN, CA CSR NO. 7152**

1 MR. TOCHER: GREAT. THANKS VERY MUCH.  
2 THE MOTION CARRIES.

3 CHAIRMAN IMBASCIANI: WELL, THANK YOU.  
4 THANK YOU, SCOTT. A LITTLE COMPLICATED, BUT WE GOT  
5 THROUGH IT. THANK YOU.

6 WE CAN NOW MOVE ON TO AGENDA ITEM --  
7 QUESTION FROM DR. BARRETT. YES.

8 DR. BARRETT: FOR GIL. SO ONE OF THE  
9 THINGS THAT STRUCK ME IN YOUR VERY WELL-CRAFTED  
10 PRESENTATION WAS THE SIGNIFICANT NUMBER OF  
11 APPLICATIONS THAT WERE DEEMED NOT ELIGIBLE FOR  
12 REVIEW, ALMOST 50. I WANTED TO KNOW -- I DON'T  
13 REMEMBER SEEING THOSE DATA BEFORE FOR OTHER  
14 COMPETITIONS. I WANTED TO KNOW IF THAT WAS NORMAL.  
15 AND IF IT IS NORMAL, DO WE NEED TO BE DOING  
16 SOMETHING MORE TO INFORM POTENTIAL APPLICANTS OF THE  
17 PRECISE CRITERIA FOR ELIGIBILITY BECAUSE EACH OF  
18 THOSE, I THINK, 47 APPLICATIONS WILL HAVE  
19 REPRESENTED A LOT OF WORK ON THE PART OF  
20 INVESTIGATORS AND PRESUMABLY PASSED MUSTER WITH A  
21 SPONSORED PROJECT'S OFFICE SUBMISSION IN THE FIRST  
22 PLACE.

23 DR. SAMBRANO: THAT'S A GREAT QUESTION.  
24 SO IT DEPENDS ON THE COMPETITION. FOR DISCOVERY WE  
25 TEND TO GET MORE BECAUSE PART OF THE REQUIREMENT IS

1 BEING AT THE STAGE OF READINESS THAT'S APPROPRIATE  
2 FOR THIS. AND I THINK THAT'S WHERE A LOT OF THE  
3 PROJECTS GET HUNG UP.

4 SO ONE OF THE THINGS THAT WE ENCOURAGE IS  
5 FOR THEM TO SET UP A CONSULTATION WITH THE PROGRAM  
6 TEAM, SO WITH ROSA AND HER TEAM AND KELLY SHEPARD,  
7 TO GO OVER THE PROJECT AND ENSURE THAT IT'S LIKELY  
8 TO BE FUNDED.

9 SO THERE ARE SOME WHO DO THAT, MANY THAT  
10 DON'T. BUT I THINK THAT IS PROBABLY THE BIGGEST  
11 CULPRIT FOR THAT NUMBER OF APPLICATIONS THAT DID NOT  
12 MAKE IT THROUGH ELIGIBILITY.

13 DR. BARRETT: COULD WE MAKE THAT A  
14 REQUIREMENT FOR SUBMISSION?

15 DR. SAMBRANO: YEAH, WE COULD. IT'S  
16 SOMETHING WE'VE CONSIDERED, BUT AT THE SAME TIME WE  
17 ALSO DON'T WANT TO PREVENT SOMEBODY WHO HAS THE  
18 OPPORTUNITY LAST MINUTE OR IF WE DON'T HAVE THE  
19 BANDWIDTH OR SCHEDULE TO DO IT TO STILL BE ABLE TO  
20 APPLY. SO I THINK AT THIS POINT WE WOULD JUST  
21 HIGHLY ENCOURAGE FOLKS TO REACH OUT AS PROBABLY THE  
22 BEST AVENUE.

23 DR. SACKY: FOLLOW UP ON THAT. IS IT  
24 POSSIBLE TO PERHAPS INSTITUTE A LETTER OF INTENT  
25 WHICH ALLOWS YOU THEN TO PREEMPT SOME OF THE ONES

1 THAT YOU THINK MIGHT BE OFF THE MARK AND MAYBE OFFER  
2 THE CONSULTATION THAT WAY AS OPPOSED TO HAVING  
3 PEOPLE DECIDE THE LAST FEW WEEKS BEFORE IT'S DUE TO  
4 DECIDE TO REACH OUT?

5 DR. SAMBRANO: RIGHT. THAT'S A GREAT  
6 SUGGESTION. WE CAN CERTAINLY DO THAT HERE IN THIS  
7 TYPE OF PROGRAM. IN SOME OF THE OTHER PROGRAMS, WE  
8 HAVE INSTITUTED A PRESUBMISSION PROCESS WHICH IS  
9 SIMILAR WITH THE IDEA OF CAPTURING INITIALLY WHAT IT  
10 IS THAT THEY INTEND TO DO AND INVITE THOSE THAT  
11 REALLY MAKE THE CUT AND QUALIFY. SO THANK YOU FOR  
12 THAT SUGGESTION.

13 CHAIRMAN IMBASCIANI: OKAY. GREAT.  
14 JONATHAN, OUR PRESIDENT, IS GOING TO GIVE US AN  
15 UPDATE ON STRATEGIC ALLOCATION FRAMEWORK, AGENDA  
16 ITEM NO. 12.0.

17 DR. THOMAS: THANK YOU, MR. CHAIR. LAST  
18 YEAR, AS YOU WILL RECALL, THIS BOARD APPROVED A  
19 STRATEGIC ALLOCATION FRAMEWORK OR SAF WHICH DEFINES  
20 HOW CIRM WILL ALLOCATE OUR REMAINING FUNDS TO BEST  
21 MEET THE GOAL OF ADVANCING REGENERATIVE MEDICINE  
22 THERAPIES TO THE PEOPLE OF CALIFORNIA AND THE WORLD.

23 TODAY I WANT TO UPDATE YOU ON WHERE WE ARE  
24 ON THE SAF. I WILL SHOW YOU OUR BUDGET FORECAST FOR  
25 ALL OUR PROGRAMS, AND I WILL HIGHLIGHT STEPS TAKEN



**BETH C. DRAIN, CA CSR NO. 7152**

1 TO DATE ON IMPLEMENTING THE SAF AS WELL AS WHAT'S  
2 COMING IN THE NEXT YEAR.

3 AS PART OF THAT, I WILL DISCUSS OUR SAF  
4 GOALS AND PREFERENCES WITHIN OUR PROGRAMS THAT HELP  
5 ENSURE OUR LIMITED FUNDS ARE DIRECTED TOWARDS  
6 REACHING THOSE GOALS. WHEN I'M DONE, GIL WILL SHOW  
7 YOU AN EXAMPLE OF HOW THE TEAM IMPLEMENTED  
8 PREFERENCES IN ASSESSING CLIN2 APPLICATIONS.

9 I WANT TO START BY REMINDING YOU WHY WE  
10 DEVELOPED THE SAF. OVER THE PAST FEW YEARS, WE HAVE  
11 SEEN A SIGNIFICANT INCREASE IN NUMBERS OF  
12 APPLICATIONS THAT WE RECEIVE FOR ALL OUR PROGRAMS.  
13 AT THE END OF 2023, SEVERAL OF YOU ON THE BOARD  
14 REQUESTED THAT THE TEAM DEVELOP A STRATEGY FOR  
15 ENSURING CIRM'S REMAINING PUBLIC FUNDS ARE DEPLOYED  
16 WHERE THEY CAN HAVE THE GREATEST NEAR-TERM IMPACT  
17 FOR PATIENTS.

18 THE CIRM TEAM, LED BY DR. ROSA  
19 CANET-AVILES, TALKED EXTENSIVELY TO STAKEHOLDERS AND  
20 REVIEWED CIRM'S PORTFOLIO TO DATE AS WELL AS THE  
21 LANDSCAPE OF REGENERATIVE MEDICINE RESEARCH, THERAPY  
22 DEVELOPMENT, PATIENT ACCESS, AND WORKFORCE  
23 DEVELOPMENT. THEN THE TEAM DEVELOPED A FUNDING  
24 STRATEGY WITH A COORDINATED SET OF FUNDING PROGRAMS.

25 THE PROGRAMS FOLLOW A DEFINED CADENCE THAT

1     ALLOWS PROJECTS TO MOVE FROM DISCOVERY TO CLINICAL  
2     PHASES IN A COORDINATED WAY TO ENSURE THE PORTFOLIO  
3     ADVANCES AS A WHOLE.

4             AS PART OF THE SAF, THE TEAM DEFINED FOUR  
5     CATEGORIES WITH CLEARLY DEFINED GOALS INTENDED TO  
6     MAXIMIZE CIRM'S IMPACT. YOU'VE SEEN A VERSION OF  
7     THIS SLIDE NUMEROUS TIMES. THE GOALS SHOWN HERE ARE  
8     THE HIGH LEVEL RESULT OF A VERY DEEP AND CAREFUL  
9     ANALYSIS BY THE CIRM TEAM. ALL THESE GOALS AND THE  
10    DERIVED RECOMMENDATIONS HAVE VERY CLEAR MILESTONES  
11    FOR MEASURING THEIR SUCCESS. WE INCLUDED  
12    PREFERENCES IN OUR NEW PROGRAMS WHICH WE PRESENTED  
13    IN MARCH THAT SELECT FOR APPLICATIONS MOST LIKELY TO  
14    HELP US ACHIEVE THOSE GOALS.

15            THE PREFERENCES ARE ANCHORED IN  
16    LONG-STANDING CIRM OBJECTIVES AND NEW OBJECTIVES  
17    MANDATED BY PROP 14, SUCH AS ADVANCING STEM CELL AND  
18    GENETIC THERAPIES, SUPPORTING CALIFORNIA-BASED  
19    APPLICANTS, PRIORITIZING PROJECTS THAT BUILD ON  
20    PRIOR CIRM FUNDING, EMPHASIZING CNS INDICATIONS, AND  
21    ENSURING ACCESS TO THERAPIES FOR PATIENTS ACROSS  
22    CALIFORNIA, AND FOCUSING ON PROJECTS CLOSEST TO  
23    CLINICAL TRANSLATION.

24            AS GIL HAS DESCRIBED IN PREVIOUS  
25    PRESENTATIONS AND WILL REVIEW AGAIN AFTER I TALK,

1 THE TEAM HAS STARTED EVALUATING APPLICATIONS  
2 ACCORDING TO THESE PREFERENCES AS A WAY OF FILTERING  
3 WHICH APPLICATIONS MOVE FORWARD TO FULL GRANTS  
4 WORKING GROUP REVIEW. THE INTENDED EFFECT OF THESE  
5 PREFERENCES IS TO ENSURE THAT OUR PUBLIC FUNDS ARE  
6 TRANSLATED INTO PROJECTS THAT ARE MOST LIKELY TO  
7 GENERATE THERAPIES THAT CAN REACH PATIENTS.

8 I WANT TO REMIND YOU THAT THE SAF AND THE  
9 PREFERENCES THAT WILL HELP US ACHIEVE THE IMPACT  
10 GOALS ARE NOT INTENDED TO BE STATIC. THEY ARE  
11 DESIGNED TO ADAPT BASED ON CIRM'S INTERNAL PORTFOLIO  
12 AND DEVELOPMENTS IN THE FIELD. IN JANUARY THE CIRM  
13 TEAM WILL PRESENT A PORTFOLIO REVIEW. THE BOARD  
14 WILL BE ABLE TO REVIEW OUTCOMES, EXAMINE THE EFFECT  
15 OF PREFERENCES, AND GUIDE ANY MODIFICATIONS BEFORE  
16 THE NEXT PROGRAM CYCLES LAUNCH.

17 AS I SAID, THE SAF INCLUDES A COORDINATED  
18 SET OF FUNDING PROGRAMS: CLINICAL PROGRAMS,  
19 PRECLINICAL, DISCOVERY, EDUCATION, AND  
20 INFRASTRUCTURE. THIS BUDGET PROJECTION SHOWN ON THE  
21 SCREEN SHOWS FUNDING FOR THE NEXT SIX YEARS ACROSS  
22 THESE PROGRAMS. ACCORDING TO THIS, WE'LL SPEND JUST  
23 UNDER \$3.5 BILLION OVER THE COURSE OF THE NEXT SIX  
24 YEARS, WHICH WILL EXPEND OUR RESEARCH BUDGET.

25 A FEW THINGS TO NOTE. FIRST, THERE'S SOME

1     ROUNDING.  SO DON'T BREAK OUT YOUR CALCULATORS.  
2     SECOND, THIS PLAN ALSO DOES NOT INCLUDE FUNDS SET  
3     ASIDE FOR ACCESSIBILITY AND AFFORDABILITY GRANTS AND  
4     ANY PLANNED ACCESSIBILITY PROGRAMS, SUCH AS  
5     COMMUNITY CARE CENTERS OF EXCELLENCE SUPPORT.  THE  
6     STRATEGY AND FINANCIAL PLAN OF THESE AWARDS WILL BE  
7     BROUGHT TO THE BOARD LATER THIS YEAR.

8                 I'M NOT GOING TO TALK YOU THROUGH THE  
9     EXACT FUNDING LEVELS FOR EACH PROGRAM TODAY.  
10    INSTEAD, I WANT YOU TO SEE HOW THE BUDGETS FOR EACH  
11    OF THESE PROGRAMS ARE INTERRELATED.  IF WE MAKE  
12    CHANGES TO A PROGRAM'S BUDGET DUE TO CHANGE IN THE  
13    SCIENTIFIC OR POLICY LANDSCAPE, WE'LL NEED TO  
14    RETHINK THE ENTIRE FRAMEWORK.  WE'LL BE SHOWING YOU  
15    A VERSION OF THIS SLIDE PERIODICALLY SO YOU  
16    UNDERSTAND WHERE WE ARE WITH OUR RESEARCH BUDGET.

17                HERE YOU CAN SEE THE TIMELINE FOR THE  
18    FIRST TWO YEARS OF SAF IMPLEMENTATION.  THE BOARD  
19    APPROVED THE SAF IN SEPTEMBER OF '24.  THE TEAM  
20    BROUGHT THE FIRST SET OF FUNDING CONCEPTS TO YOU IN  
21    MARCH '25.  AND WE BEGAN WELCOMING APPLICATIONS TO  
22    THE FIRST SET OF PROGRAMS THROUGHOUT THIS PAST  
23    SPRING AND SUMMER.  RIGHT NOW WE ARE DEVELOPING THE  
24    NEXT SET OF CONCEPTS WHICH WILL BE BROUGHT TO YOU IN  
25    DECEMBER AND JANUARY.  WE WILL BEGIN ACCEPTING

1 APPLICATIONS TO THE SECOND SET OF CONCEPTS FOR  
2 APPLICATIONS STARTING WINTER AND SPRING OF '26.

3 THIS SLIDE GIVES A BIT MORE DETAIL INTO  
4 WHAT THE REST OF WHAT 2025 AND 2026 WILL LOOK LIKE.  
5 AT THE DECEMBER BOARD MEETING, THE TEAM WILL BRING  
6 YOU CONCEPTS FOR TWO EDUCATION PROGRAMS AND AN  
7 INFRASTRUCTURE CONCEPT. THEN IN JANUARY WE WILL  
8 BRING TO YOU A RARE DISEASE PILOT PROGRAM AS WELL AS  
9 A DATA INFRASTRUCTURE PROGRAM. YOU CAN ALSO SEE  
10 HERE THAT IN JANUARY WE WILL ALSO PRESENT THE  
11 AFOREMENTIONED THOROUGH PORTFOLIO REVIEW.

12 BEFORE I TAKE QUESTIONS, I'D LIKE TO TURN  
13 IT OVER TO GIL WHO'S GOING TO SHOW AN EXAMPLE OF HOW  
14 PREFERENCES HAVE BEEN USED TO DETERMINE WHICH  
15 APPLICATIONS WILL MOVE TO FULL REVIEW FOR THE CLIN2  
16 PROGRAM. WE CAN BOTH TAKE QUESTIONS AFTER GIL'S  
17 PRESENTATION. GIL.

18 DR. SAMBRANO: ALL RIGHT. THANK YOU VERY  
19 MUCH, J.T. AS MENTIONED, I WANT TO SHOW YOU AN  
20 EXAMPLE. WE JUST STARTED, ALSO AS MENTIONED, WITH A  
21 LOT OF THESE NEW PROGRAM REVIEWS AND IMPLEMENTING  
22 PREFERENCES. AND I WANT TO SHOW YOU HOW THE  
23 QUALIFICATION PROCESS INCORPORATED THESE AND WHAT  
24 THE OUTCOMES OF THOSE WERE.

25 THE REVIEW OF THIS FIRST ROUND ISN'T

**BETH C. DRAIN, CA CSR NO. 7152**

1 ACTUALLY YET DONE, MEANING IT HASN'T GONE THROUGH  
2 THE ENTIRE PROCESS TO FUNDING DECISION YET, BUT IT  
3 HAS GONE THROUGH THE QUALIFICATION STEP, WHICH MEANS  
4 IT GIVES YOU AND GIVES US ENOUGH INFORMATION TO  
5 ASSESS HOW THAT HAS WORKED SO FAR.

6 SO THIS, AGAIN, IS JUST A REMINDER OF THE  
7 PROCESS AND HOW IT WORKS. WE GO THROUGH  
8 ELIGIBILITY. WE INSERTED THIS QUALIFICATION  
9 PROCESS, AND WE INSERTED THIS ACTUALLY OVER A YEAR  
10 AGO. AND IT WAS PUT IN PLACE ORIGINALLY TO HELP  
11 MANAGE THE LARGE NUMBER OF CLIN APPLICATIONS THAT  
12 WERE COMING IN, AND WE REFERRED TO IT AT THE TIME AS  
13 A FLOW CONTROL SOLUTION TO THE APPLICATIONS. AND SO  
14 SOME OF YOU MAY REMEMBER THAT.

15 SO FOR THIS QUALIFICATION PROCESS, WHAT  
16 HAPPENS IS THE SCIENTIFIC MEMBERS OF THE CIRM  
17 INTERNAL REVIEW TEAM APPLY THE PREFERENCES  
18 OBJECTIVELY TO IDENTIFY IN ADVANCE THE TOP SEVEN  
19 APPLICATIONS. AND SO THE NUMBER ADVANCED IS BASED  
20 ON WHAT IT IS THAT WILL ENSURE AN IN-DEPTH REVIEW OF  
21 EACH OF THESE CLINICAL TRIAL APPLICATIONS, THE  
22 EXPECTED SUCCESS RATE, AS WELL AS ITS ALIGNMENT WITH  
23 THE BUDGET THAT'S AVAILABLE IN ORDER TO FUND THE  
24 NUMBER OF PROJECTS WE ARE SEEKING. AND JUST FOR  
25 REFERENCE, WE HAVE CLIN2 ON A QUARTERLY BASIS NOW,

1 SO FOUR TIMES PER YEAR. AND OUR INTENT FOR THE  
2 NUMBER OF CLINICAL TRIALS WE WOULD HOPE TO FUND IN A  
3 YEAR IS BETWEEN NINE AND FIFTEEN.

4 ALL RIGHT. SO THESE ARE THE PREFERENCES  
5 THAT WERE DEVELOPED AND APPROVED AS A MECHANISM TO  
6 PRIORITIZE AND DIRECT THE CIRM FUNDS TOWARDS  
7 PROJECTS THAT MOST ALIGN WITH OUR SAF GOALS. SO,  
8 AGAIN, DR. ROSA CANET-AVILES AND DR. JOE GOLD AND  
9 THE CLINICAL TEAM ALL PROPOSED THESE OBJECTIVE  
10 PREFERENCES THAT WERE PRESENTED IN MARCH AFTER  
11 CAREFUL ANALYSIS AND CONSIDERATION OF THE PRIORITIES  
12 THAT ARE SET FORTH IN PROP 14 AND THE SAF. AND YOU  
13 WILL SEE JUST A BULLETED RATIONALE NEXT TO EACH OF  
14 THOSE CONCEPT PREFERENCES. THOSE WERE DISCUSSED IN  
15 MORE DETAIL PREVIOUSLY.

16 THE PREFERENCES WERE POSTED WITH THE  
17 PROGRAM ANNOUNCEMENT AND WERE THEN UTILIZED IN THE  
18 QUALIFICATION STEP. LET ME SHOW YOU WHAT HAPPENS IN  
19 TERMS OF THIS FIRST ROUND. WE HAD 23 APPLICATIONS  
20 THAT WERE SUBMITTED FOR THE FIRST ROUND OF CLIN.  
21 AND AS YOU'LL SEE, THIS SHOWS AGAINST EACH CRITERIA  
22 HOW MANY APPLICATIONS MET THAT PARTICULAR  
23 PREFERENCE. SO, FOR EXAMPLE, ALMOST ALL OF THEM, 21  
24 OUT OF THE 23, WERE CALIFORNIA ORGANIZATIONS. ABOUT  
25 HALF OF THEM REPRESENTED A PROGRESSION FROM A

1 PREVIOUS CIRM AWARD. SO THAT'S A DIRECT PROGRESSION  
2 EVENT. AND THEN JUST OVER A THIRD TARGETED A CNS  
3 INDICATION. SO EIGHT OUT OF THE 23.

4 ALL RIGHT. SO NOW THE NUMBER OF  
5 PREFERENCES THAT WERE MET BY EACH PROJECT, SO I  
6 SHOWED YOU JUST IN GENERAL HOW MANY MET EACH OF  
7 THOSE PREFERENCES, BUT, OF COURSE, EACH INDIVIDUAL  
8 PROJECT MAY HAVE MET ONE, TWO, THREE, OR MORE OF  
9 THOSE PREFERENCES THEMSELVES. AND SO THAT'S WHAT  
10 THIS GRAPH SHOWS. SO WHAT YOU SEE AS THE NUMBER OF  
11 CRITERIA FROM 0 TO 4, THOSE ARE HOW MANY THE  
12 PROJECTS MET. AND SO IT ALSO SHOWS A COMPARISON OF  
13 THE SEVEN PROJECTS THAT ADVANCED VERSUS THOSE THAT  
14 DID NOT. SO THOSE THAT ARE IN BLUE ARE THE ONES  
15 THAT ADVANCED.

16 SO WE WILL OBSERVE A FEW THINGS. FIVE  
17 PROJECTS MET AS MANY AS FOUR DIFFERENT PREFERENCES.  
18 FIVE OTHER PROJECTS MET THREE DIFFERENT PREFERENCES.  
19 AND TWO OF THOSE ADVANCED AND THREE DID NOT. AND  
20 THE REASON FOR THAT IS THAT THERE IN THE POINT  
21 SYSTEM IS A TIE. WHEREVER THERE IS A TIE, WE THEN  
22 HAVE THE GRANTS WORKING GROUP DO A MORE SUBJECTIVE  
23 ASSESSMENT BASED ON VALUE PROPOSITION AND THEY  
24 SELECT WHICH ONES ADVANCE. SO THOSE TWO WERE  
25 ADVANCED BY THE GRANTS WORKING GROUP.



1           ALL RIGHT. HERE'S ANOTHER WAY TO LOOK AT  
2           IT. AND THIS IS BECAUSE WE HAD AN INTERESTING  
3           OBSERVATION HERE. ALL SEVEN APPLICATIONS THAT  
4           ADVANCED IN THIS INITIAL ROUND TARGET DISEASES OF  
5           THE CNS. SO THE QUESTION IS WHY DID THAT HAPPEN.  
6           DOES THAT MEAN THAT THE CNS PREFERENCE FOR SOME  
7           REASON OVERSELECTS OR IS MAKING IT DIFFICULT OR  
8           IMPOSSIBLE FOR OTHERS THAT ARE NOT CNS TO COME IN.

9           SO IF WE EXAMINE ALL OF THE APPLICATIONS,  
10          SO THE 23 APPLICATIONS IN THE POOL THAT TARGET THE  
11          CNS, AND COMPARE IT TO ALL THE NON-CNS. SO AT THE  
12          TOP YOU THE CNS APPLICATIONS AND THEN THE  
13          NON-CNS BELOW THAT. IT HAPPENS THAT THE CNS  
14          APPLICATIONS ALSO MET OTHER PREFERENCES TO A GREATER  
15          EXTENT THAN THE NON-CNS APPLICATIONS.

16          SO TO PROVIDE A LITTLE MORE DETAIL ON  
17          THIS, IF YOU LOOK AT THE TOP SEVEN APPLICATIONS, ALL  
18          OF THEM FROM CALIFORNIA, AND ALL HAPPENED TO TARGET  
19          CNS, THERE ARE EIGHT CNS APPLICATIONS. AMONG THOSE  
20          EIGHT, SIX ALSO HAPPENED TO BE A PLURIPOTENT STEM  
21          CELL OR IN VIVO GENE THERAPY. AND HALF OF THEM HAVE  
22          AN ADVANCED DESIGNATION WITH THE FDA.

23          IF YOU LOOK AT THE NON-CNS APPLICATIONS,  
24          OF WHICH THERE ARE 15, THERE'S ONLY THREE THAT HAD A  
25          PLURIPOTENT STEM CELL OR IN VIVO GENE THERAPY, AND

1 ONLY THREE HAD AN ADVANCED DESIGNATION.

2 SO FROM LOOKING AT THIS DATA, ALTHOUGH THE  
3 SEVEN TOP APPLICATIONS ALL TARGET THE CNS, THE FACT  
4 THAT THESE APPLICATIONS ALSO MET ADDITIONAL  
5 CONCOMITANT PREFERENCES CONTRIBUTED TO THEIR  
6 SELECTION. IN FACT, IF WE LOOK AT AND REMOVE THE  
7 CNS AS A CRITERION AND REASSESS WHICH ONES COME TO  
8 THE TOP, YOU END UP WITH EIGHT APPLICATIONS. AND  
9 FIVE OF THOSE ARE THE CNS, AND THE SAME ONES THAT  
10 WERE SELECTED. SO, IN EFFECT, THERE IS A PREFERENCE  
11 FOR CNS. WE ARE DELIBERATELY NOTING THAT AS A  
12 PREFERENCE, BUT IT DOESN'T APPEAR, AT LEAST, THAT IT  
13 IS OVERSELECTING AS WE POTENTIALLY FEARED.

14 SO THE OTHER THING I WANT TO NOTE IS THE  
15 CRITERIA THAT I SHOWED ARE VERY SIMILAR ALSO TO ONE  
16 OF OUR OTHER PROGRAMS, THE PRECLINICAL DEVELOPMENT  
17 OR PDEV, BUT IT USES A VERY DIFFERENT PROCESS. IT  
18 GOES THROUGH PRESUBMISSIONS. IT DOESN'T USE THIS  
19 PARTICULAR QUALIFICATION PROCESS, BUT THE  
20 PREFERENCES ARE SIMILAR. AND WE ALSO OBSERVED THERE  
21 THAT IN THAT CASE THE PRESUBS ALSO REQUIRED MEETING  
22 THREE TO FOUR DIFFERENT CRITERIA IN ORDER TO BE  
23 AMONG THOSE THAT GOT INVITED. HOWEVER, IN THAT CASE  
24 THE PERCENTAGE OF SELECTED PRESUBMISSIONS THAT ARE  
25 TARGETING THE CNS WAS ONLY 25 PERCENT.

**BETH C. DRAIN, CA CSR NO. 7152**

1                   SO IT APPEARS THAT IT PROBABLY REALLY MORE  
2                   DEPENDS ON THE PARTICULAR BATCH AND THE RELATIVE  
3                   QUALITIES AND CHARACTERISTICS OF THE PROJECTS THAT  
4                   COME IN. SO WE DON'T KNOW WHAT WILL COME IN IN THE  
5                   NEXT ROUND, BUT IT'S SOMETHING THAT WE ALSO HOPE TO  
6                   ASSESS. AND WE WILL, OF COURSE, SHARE THAT WITH YOU  
7                   IN TERMS OF WHAT THE NUMBERS ARE LOOKING LIKE IN  
8                   THESE DIFFERENT PROGRAMS.

9                   SO IN SUMMARY, AS MENTIONED BY J.T., CIRM  
10                  IS APPLYING THESE PREFERENCES IN THE SELECTION OF  
11                  PROJECTS TO ENSURE ALIGNMENT WITH THE SAF.  
12                  GENERALLY THE PROJECTS REQUIRE POSSESSING MULTIPLE  
13                  PREFERENCES IN ORDER TO BE SELECTED. AND ALTHOUGH A  
14                  PREFERENCE FOR TARGETING DISEASES OF THE CNS IS  
15                  APPLIED, PROJECTS ADDRESSING ANY DISEASE AREA CAN  
16                  ULTIMATELY BE SELECTED. AND AS I MENTIONED ALSO, WE  
17                  HAVE ONLY COMPLETED ONE ROUND AT THIS POINT FOR  
18                  CLIN2 AND THE OTHER PROGRAMS. SO ADDITIONAL DATA ON  
19                  THE USE OF THESE PREFERENCES WILL CONTINUE TO BE  
20                  COLLECTED AND SHARED WITH THE BOARD. AND THIS WILL  
21                  CULMINATE ALSO IN JUST PROVIDING YOU MORE  
22                  INFORMATION ON WHETHER THE PREFERENCES ARE GETTING  
23                  WHAT WE NEED, WHAT WE WANT, AND WHAT YOU WOULD LIKE  
24                  US TO SELECT FOR.

25                  AGAIN REPEATING WHAT J.T. SAID, THESE

**BETH C. DRAIN, CA CSR NO. 7152**

1 PREFERENCES ARE NOT STATIC. SO WE WILL BE  
2 PRESENTING AN OVERVIEW OF THE PORTFOLIO OF FUNDED  
3 PROJECTS EACH YEAR TO INFORM ANY CHANGES TO THOSE  
4 PREFERENCES THAT THE BOARD MAY CHOOSE TO ADOPT. SO  
5 THANK YOU VERY MUCH.

6 CHAIRMAN IMBASCIANI: THANK YOU, GIL.  
7 J.T., YOU HAVE A COMMENT?

8 DR. THOMAS: NO. I'M JUST STANDING HERE  
9 ANTICIPATING QUESTIONS.

10 CHAIRMAN IMBASCIANI: MARK.

11 DR. FISCHER-COLBRIE: GIL, THANKS FOR THE  
12 EXCELLENT PRESENTATION AND GREAT EXPLANATION FOR THE  
13 PROCESS AND THE DATA.

14 JUST ONE ITEM FOR REFERENCE GIVEN THE  
15 DIFFERENT CRITERIA THAT WERE LISTED. THE INHERENT  
16 PRESUMPTION IS THAT THERE'S EQUAL WEIGHTING FOR EACH  
17 ONE OF THOSE TO SOME EXTENT.

18 DR. SAMBRANO: YES.

19 DR. FISCHER-COLBRIE: OR IT MAY NOT BE  
20 OBVIOUS THAT THERE'S AN OVERWEIGHTING FOR A  
21 PARTICULAR CRITERION POINT.

22 DR. SAMBRANO: RIGHT. SO IN TERMS OF THE  
23 POINT SYSTEM FOR CLIN2, THEY ALL GET ONE. THE ONLY  
24 CRITERION THAT GETS TWO POINTS IS IF IT'S A PIVOTAL  
25 TRIAL.

1 DR. FISCHER-COLBRIE: GREAT. FANTASTIC.  
2 AND THEN IN ADDITION TO THE PREFERENCES, I EXPECT  
3 THAT WE'LL BE CONTINUING TO EVALUATE THE PROCESS AND  
4 SEE IF THERE ARE TWEAKS THAT MIGHT NEED FURTHER  
5 CONSIDERATION. BUT THAT'S SOMETHING THAT YOU WILL  
6 BE BRINGING TO THE BOARD FOR DISCUSSION AND REVIEW  
7 AS PART OF OUR PREFERENCE SETTING PROCESS. IS THAT  
8 AN ACCURATE?

9 DR. SAMBRANO: YES.

10 DR. FISCHER-COLBRIE: OKAY. GREAT. THANK  
11 YOU.

12 CHAIRMAN IMBASCIANI: PATRICK.

13 DR. LEVITT: TWO QUESTIONS. ONE FOR YOU,  
14 GIL. WHAT WAS THE RATIONALE FOR THE GOAL OF  
15 ADVANCING SEVEN APPLICATIONS AND NOT SIX OR NINE  
16 APPLICATIONS?

17 DR. SAMBRANO: SO WE HAD TO PICK A NUMBER,  
18 AND IT WAS BASED ON THE NUMBER OF TARGETED CLINICAL  
19 TRIALS. SO THE GOAL FOR THE YEAR IS BETWEEN NINE  
20 AND SIXTEEN. SO BASED ON THE SUCCESS RATE, AND THE  
21 SUCCESS RATE WE HAVE HAD IN THE PAST IS BETWEEN 60  
22 AND 70 PERCENT. I DOUBT IT WILL BE THAT HIGH IN  
23 THIS CASE, BUT IT COULD BE. AND THE FACT THAT WE DO  
24 THIS FOUR TIMES A YEAR WAS WHERE WE LANDED ON THAT  
25 NUMBER. BUT THAT IS ALSO NOT A STATIC NUMBER,

1 MEANING AS WE GO THROUGH THIS ROUND AND THE NEXT  
2 ROUND AND THE NEXT, WE WILL GET A BETTER FEEL FOR  
3 WHAT THE TRUE SUCCESS RATE IS THAT WILL GET US THE  
4 TARGETED NUMBER OF PROPOSALS.

5 DR. LEVITT: OKAY. AND THE SECOND  
6 QUESTION IS FOR J.T. SO WHEN I READ THE STRATEGIC  
7 IMPACT GOALS, I COME AWAY WITH A CERTAIN  
8 UNDERSTANDING. AND THEN I LOOK AT THE FINANCIAL  
9 PLAN WHERE THERE'S ESSENTIALLY EQUIVALENT  
10 DISTRIBUTIONS FOR CLIN, PDEV, AND DISC. I ACTUALLY  
11 DON'T INTERPRET THE GOALS AS HAVING THAT KIND OF A  
12 DISTRIBUTION WHERE DISCOVERY -- THERE'S A CLEAR  
13 EMPHASIS HERE. I'M NOT CRITICIZING THE EMPHASIS AT  
14 ALL. I'M JUST TRYING TO UNDERSTAND THE ALMOST  
15 EQUIVALENT DISTRIBUTION ACROSS THOSE THREE PROGRAMS  
16 GIVEN WHAT THE GOALS ARE, WHICH IS VERY HEAVY ON  
17 ACCELERATING DEVELOPMENT FOR TECHNOLOGIES FOR  
18 DISTRIBUTION OF TREATMENTS, ADVANCING FOUR TO SEVEN  
19 RARE DISEASE PROJECTS TO CLINICAL APPLICATION, ET  
20 CETERA.

21 DR. THOMAS: SO I THINK THE GENERAL  
22 ANSWER, PAT, IS THAT THE TEAM GAVE A GREAT DEAL OF  
23 THOUGHT TO EXACTLY WHAT WAS GOING TO GO INTO EACH OF  
24 THE THREE DIFFERENT PROGRAM LEVELS. AND THE FUNDING  
25 AMOUNT IS WHAT WE DEEM OPTIMAL TO REALIZE THE

1 GREATEST BENEFIT ACROSS THE THREE DIFFERENT PILLARS.  
2 THE FACT THAT THEY ARE SIMILAR IN SIZE IS NOT DONE  
3 BY DESIGN. IT'S THE CAREFUL RESULT OF A GREAT DEAL  
4 OF THOUGHT ON HOW TO ACHIEVE OUR GREATEST BENEFIT.

5 I'LL ASK ROSA IF SHE HAS ANY COMMENT TO  
6 ELABORATE ON THAT.

7 DR. CANET-AVILES: I'M HAPPY TO ANSWER  
8 THAT. SO IF WE LOOK AT THE PRECLINICAL DEVELOPMENT,  
9 THE PDEV, THAT INVOLVES SEVERAL PROGRAMS. IT COULD  
10 BE THE PRECLINICAL DEVELOPMENT THAT COULD GO FROM  
11 PRE-IND UP TO IND-ENABLING, AND IT SHIFTS. THERE  
12 ARE SEVERAL PROGRAMS INCLUDED THERE. SO THAT'S ONE  
13 OF THEM. THAT ONE, THE PROJECTIONS, THE WAY THAT  
14 THEY MADE, THAT WE COULD BE FUNDING MORE PRE-IND,  
15 WHETHER WE WOULD BE FUNDING MORE FIRST IN HUMAN TYPE  
16 OF STUDIES TOWARDS THE LATER STAGES.

17 THERE IS THE TECHNOLOGY DEVELOPMENT AS  
18 WELL, WHICH WILL BE FOR PLATFORM TYPE OF PROGRAMS  
19 FOR DELIVERY AND MANUFACTURING, ET CETERA. THAT'S  
20 IN THE MIDDLE. WE WILL BE STARTING IT -- PROPOSING  
21 IT NEXT YEAR. SO THAT FILLED INTO THE MIDDLE.

22 AND THEN THERE WAS ANOTHER PROGRAM, THE  
23 RARE DISEASE PILOT PLATFORM, THAT WAS GOING TO COME  
24 ALSO IN THE MIDDLE BECAUSE THAT COULD BE INVESTING  
25 MORE PRECLINICAL DEVELOPMENT AT EARLY CLINICAL.

1            THEN IF WE LOOK AT THE CLINICAL  
2            DEVELOPMENT, AND THAT'S ABOUT A BILLION DOLLARS  
3            OVERALL, AND IT'S WEIGHTED MORE EARLY AND THEN MORE  
4            TOWARDS THE FIRST-IN-HUMAN CLINICAL TRIALS.

5            FOR THE CLINICAL DEVELOPMENT, THE WAY THAT  
6            WE PLANNED IT WAS WE ARE PLANNING CONSISTENTLY, AND  
7            THAT ONE, THE DIFFERENCE BETWEEN THE BUDGET NOW AND  
8            BEFORE IS THAT NOW IT'S FOCUSED ONLY ON CLINICAL  
9            TRIALS. BEFORE IT HAD CLIN1 WAS FOR PRECLINICAL  
10          DEVELOPMENT TYPE OF WORK. SO THAT THROUGHOUT OUR  
11          LIFE BECAUSE WE WANT TO HIT FOUR TO SEVEN BLA'S AND  
12          THE 14, 15 CLINICAL TRIALS. SO THAT'S GOING TO BE  
13          CONSTANT UNTIL THE END OF OUR PROJECTION.

14          AND THEN FOR DISCOVERY, WITH DISCOVERY THE  
15          GOAL IS FOUR TO SEVEN TARGETS, BIOMARKERS, THAT WILL  
16          GET INTO THE PIPELINE. AND THAT'S GOING TO HAPPEN  
17          NOW, BUT ALSO TOWARDS THE END. SO IT'S CONSTANT AS  
18          WELL. SO THAT ONE IS NEARLY A BILLION DOLLARS, BUT  
19          IT'S GOING TO BE -- THE LEGACY OF CIRM IS NOT ONLY  
20          HITTING THE BLA, BUT ALSO PROVIDING THE RESOURCES,  
21          TOOLS, BIOMARKERS, AND TARGETS THAT WILL GO INTO THE  
22          PIPELINE IN A WAY THAT, IF CIRM HAD NOT EXISTED AND  
23          LEVERAGED MULTIDISCIPLINARY TYPE OF WORK THAT YOU  
24          PROPOSE DURING SCIENCE SUBCOMMITTEE MEETINGS, THAT  
25          WE COULD --



1 DR. LEVITT: OF COURSE, MOST OF MY WORK IS  
2 IN BASIC SCIENCE. SO I'M NOT CRITICIZING THE FACT  
3 THAT WE HAVE A DISC PROGRAM. THAT'S FINE.

4 DR. CANET-AVILES: FOUR OF THEM. AND  
5 WE'VE ALWAYS BEEN --

6 DR. LEVITT: THE REASON I RAISE THIS IS  
7 BECAUSE WHEN WE SAW THE DISTRIBUTION IN TERMS OF  
8 WHAT GIL WAS DESCRIBING, AND THERE WERE TWO  
9 APPLICATIONS THAT MET THREE CRITERIA THAT WERE NOT  
10 ADVANCED. THOSE ARE CLIN. THOSE HAVE VERY DEFINED  
11 CLIN GOALS, WHICH FITS REALLY WELL INTO THE GOALS  
12 HERE. AND SO WE CAN MAYBE TALK ABOUT THIS IN  
13 JANUARY IN TERMS OF WHETHER WE UNDERSTAND THE  
14 SPECIFICS OR NOT. BUT I GET A LITTLE QUEASY WHEN WE  
15 SEE SOMETHING HAS ACHIEVED -- HIT AT LEAST THREE OF  
16 THOSE GOALS, BUT IS NOT GOING TO BE ADVANCED BECAUSE  
17 OF POSSIBLY A DISTRIBUTION MODEL OF THE MONEY.

18 DR. CANET-AVILES: AND IT WAS CRITERIA.  
19 ALSO TO THOSE CRITERIA WE ADDED TWO VERY IMPORTANT  
20 CRITERIA THAT -- YOU ACTUALLY PROPOSED THEM AS WELL  
21 AND JUDY BACK DURING THE SCIENCE SUBCOMMITTEE -- ONE  
22 WAS NOVELTY, AND THE OTHER ONE WAS THAT IT DID  
23 NOT -- THAT WE DID NOT HAVE IT REPRESENTED IN OUR  
24 CURRENT CLIN2 PORTFOLIO. SO ALL THOSE HAVE BEEN  
25 TAKEN INTO ACCOUNT WHEN WE MADE THOSE PREFERENCES.

1           BUT I THINK THAT IF WE HAVE -- IT'S ALL  
2   ABOUT DATA AT THE END OF THE DAY.  AND WHAT WE ARE  
3   TRYING TO DO IS WE'VE HAD THE FIRST ROUND.  IT'S  
4   BEEN PRESUBMISSIONS.  THIS IS THE QUALIFICATION  
5   PERIOD.  BUT THE OTHER ONE, DISC4 AND PDEV, ARE  
6   PRESUBMISSIONS.  ONCE WE GET TO JANUARY, WE WILL  
7   HAVE THE DISC4, WE WILL HAVE TWO PDEV'S, AND WE WILL  
8   HAVE THE TWO CLIN2S IN TERMS OF DATA OF HOW THIS HAS  
9   WORKED.  AND THEN IT'S TO THE BOARD'S PREROGATIVE TO  
10  DECIDE WHETHER THIS IS HITTING WHAT THE BOARD COULD  
11  WANT FOR CALIFORNIA OR NOT.  RIGHT.

12           NOW, THERE IS A REALITY, WHICH DR. SACKEY  
13  AND DR. BARRETT MENTIONED EARLIER, IN TERMS OF IF WE  
14  COULD HAVE CONSULTATIONS FOR ELIGIBILITY AND ALL  
15  THAT.  THE REALITY IS THAT THE AMOUNT, THE AVALANCHE  
16  OF APPLICANTS, THERE'S A LOT OF PAIN OUT THERE AND  
17  WE KNOW WHY.  SO THE AVALANCHE THAT WE ARE GETTING  
18  IS TO SUCH AN EXTENT THAT WE HAVE TO FIGURE OUT WHAT  
19  MECHANISMS ARE FAIR AND ALSO THAT WE CAN HANDLE WITH  
20  OUR RESOURCES IN TERMS OF PERSONNEL, ET CETERA.

21           AND THE WAY THAT WE THOUGHT ABOUT IT WAS  
22  BY DEVELOPING THE PREFERENCES IN COLLABORATION WITH  
23  THE BOARD AND BEING TRANSPARENT, AS TRANSPARENT AS  
24  WE COULD.  AND WE'VE DONE A PILOT.  WE WILL BE EVEN  
25  MORE TRANSPARENT NOW.  THE PROGRAM ANNOUNCEMENTS

1 WILL HAVE THE WEIGHT OF THINGS, ET CETERA.

2 BUT THE SECOND THING WAS BY ALSO FIGURING  
3 OUT HOW TO LESSEN THE BURDEN OF APPLICANTS. AND  
4 THAT'S WHY THE PRESUBMISSIONS, RIGHT? THE ONLY ONE  
5 THAT HAS FULL APPLICATIONS IS THE CLIN2, BUT FOR  
6 PDEV, WHICH ARE VERY BURDENSOME APPLICATIONS. AND  
7 FOR DISC4 WE DECIDED THAT PRESUBMISSIONS WERE THE  
8 MOST OPTIMAL.

9 NOW, IF WE REMOVE PREFERENCES, WE'LL HAVE  
10 TO DO SOME KIND OF POSITIVE SELECTION, WHICH WILL BE  
11 A BURDEN TO THE APPLICANTS BECAUSE THEY WILL HAVE TO  
12 COME WITH THE FULL THING, RIGHT, AND THEY WILL STILL  
13 HAVE TO BE SELECTED SOMEHOW. SO THAT'S THE KIND OF  
14 CONUNDRUM WE ARE IN. HOPEFULLY THAT WAS HELPFUL.

15 CHAIRMAN IMBASCIANI: J.T. FOLKS, DON'T  
16 GO AWAY. WE'RE GOING TO HAVE CAROLYN, AND IT'S  
17 GOING TO BE FOLLOWED BY JOYCE AND THEN BY MARIA.

18 DR. MELTZER: SO I JUST WANTED TO MAKE A  
19 BRIEF COMMENT. I THINK THE FACT THAT A MINORITY OF  
20 THE GRANTS MATCHED THE PREFERENCE AREA SUGGESTS WE  
21 DID IT JUST RIGHT. BECAUSE I REMEMBER A LONG  
22 DISCUSSION OF WORRYING THAT WE WOULD MISS HAVING  
23 APPLICATIONS THAT WERE TIMELY IN TERMS OF THEIR  
24 SCIENCE, BUT DID NOT FIT. SO I THINK IT WAS A GOOD  
25 BALANCE.

1 DR. SACKY: THANK YOU FOR A REALLY  
2 COMPREHENSIVE AND THOROUGH PROCESS. THIS IS REALLY  
3 IMPRESSIVE.

4 I'M LOOKING AT YOUR STRATEGIC IMPACT  
5 GOALS. THEY'RE JUST WONDERFUL. AND I'M STRUCK BY  
6 THE FACT THAT THERE DIDN'T SEEM TO BE ANY  
7 APPLICATIONS AT ALL AROUND YOUR STRATEGIC IMPACT  
8 GOAL NO. 4. I THINK IN THIS CASE IT'S ACTUALLY NO.  
9 6 SINCE A COUPLE OF THEM HAVE TWO. SO DIVERSE  
10 WORKFORCE DEVELOPMENT, PARTICULARLY IN THIS  
11 ENVIRONMENT WHERE PEOPLE ARE LOSING TRAINING GRANTS  
12 FROM NIH LEFT AND RIGHT, I'M STRUCK BY THE FACT THAT  
13 YOU DIDN'T GET ANYTHING AT ALL AROUND WORKFORCE  
14 DEVELOPMENT. AND I WONDERED IF YOU MIGHT COMMENT ON  
15 THE VISIBILITY THAT PEOPLE HAVE INTO YOUR STRATEGIC  
16 IMPACT GOALS. I KNOW THEY'VE BEEN RECENTLY  
17 DEVELOPED, BUT HOW MUCH OPPORTUNITY IS THERE TO MAKE  
18 POTENTIAL APPLICANTS MORE AWARE?

19 DR. CANET-AVILES: THANK YOU, DR. SACKY.  
20 THAT'S A VERY RELEVANT QUESTION. AND I THINK YOU  
21 WILL BE HAPPY TO HEAR THAT WE ARE COMING WITH A NEW  
22 PROGRAM CONCEPT. SO ALL THE FIVE PROGRAMS -- WELL,  
23 FOUR PROGRAMS THAT WE HAVE IN WORKFORCE DEVELOPMENT  
24 THAT DR. SHEPARD COULD TALK THROUGH IN HER SLEEP  
25 PROBABLY ARE ALL RIGHT NOW IN THE MIDDLE OF THEIR --

1     THEY ARE FIVE-YEAR PROGRAMS.   AND A COUPLE OF THEM  
2     ARE ENDING.   SO WE ARE REVAMPING SOME OF THE  
3     PROGRAMS WITH SOME CHANGES THAT WE'VE LEARNED FROM  
4     PROGRAM DIRECTORS FROM THE ACTUAL TEMPERATURE OF OUR  
5     WORKFORCE DEVELOPMENT SYSTEM.   THERE'S A LOT OF  
6     SUFFERING OUT THERE.

7                 SO WE HAVE TAKEN A LOT OF THE INPUT FROM  
8     OUR PROGRAM DIRECTORS.   IN FACT, WE HAD DURING THE  
9     TRAINEE CONFERENCE, THE PAN TRAINEE CONFERENCE,  
10    THERE WAS A THREE-HOUR MEETING WITH ALL PROGRAM  
11    DIRECTORS OF ALL THE PROGRAMS SO WE COULD FIGURE OUT  
12    WHAT ARE THE THINGS.   AND WE'VE ALSO SURVEYED.   ALL  
13    THIS IS COMING IN DECEMBER.   KELLY AND HER TEAM WILL  
14    BE PRESENTING.   I THINK YOU WILL BE VERY HAPPY.

15                SO THERE'S A WHOLE SERIES OF PROGRAMS THAT  
16    RESPOND TO THIS.   AND ALSO THOSE PROGRAMS WILL ALSO  
17    INTERACT WITH SOME OF THESE.   AND THAT'S COMING IN  
18    DECEMBER.   WE HAD TO COME ONE AT A TIME.   WE'VE BEEN  
19    VERY FAST, BUT THIS IS NOT PRESENTED TODAY.   THANK  
20    YOU.

21                DR. SACKKEY:   THAT'S FANTASTIC.   THANK YOU.

22                DR. CARETHERS:   JUST A VERY QUICK  
23    QUESTION.   AND I DON'T KNOW IF THIS CAME UP BEFORE,  
24    BUT THERE WAS A POINT MADE THAT THE NEURAL DISEASES  
25    TARGETED THIS WAY.   I WANT TO KNOW IN GENERAL.   IN

1 THE PORTFOLIO OF DISEASES, I ASSUME WE WERE BEHIND  
2 AND THAT'S WHY IT WAS DONE. AND I WAS JUST  
3 WONDERING WITH THE CURRENT PROPOSAL HOW DOES THAT  
4 EITHER EQUALIZE IT OR SHIFT THINGS?

5 DR. CANET-AVILES: THANK YOU, DR.  
6 CARETHERS. SO THE NEURO EXPENDITURE AT CIRM -- SO  
7 PROPOSITION 14 ESTABLISHED EARMARKS, \$1.5 BILLION,  
8 FOR NEURO, DISEASES OF THE BRAIN, CNS. AND THERE  
9 WAS A NEURO TASK FORCE DEVELOPED, AND THAT LED TO A  
10 STRATEGY FOR INVESTING SOME OF THAT MONEY AT THE  
11 DISCOVERY LEVEL. AT THE TIME WE WERE ALREADY  
12 SPENDING ABOUT A THIRD OF OUR FUNDING FOCUSED ON  
13 NEURO, BUT THERE WAS NO STRATEGY AROUND THAT.

14 SO WHAT WE'VE DONE, ESPECIALLY AT THE  
15 DISC4 LEVEL, IN ORDER TO GET TO SOME KIND OF  
16 MEANINGFUL RESULT, YOU HAVE BEEN ABLE TO LEVERAGE  
17 FUNDING THROUGH SOME TYPE OF FOCUS. THAT'S WHY THE  
18 FIRST REMIND PROGRAM WAS FOCUSED ON  
19 NEUROPSYCHIATRIC, NEURODEVELOPMENTAL PROGRAMS. AND  
20 THEN THE NEXT ROUND THE BOARD DECIDED THAT THE  
21 PREFERENCES COULD BE ALL NEURO, NOTHING ELSE, YOU  
22 COULD COME WITH SOMETHING ELSE, BUT MOST OF WHAT WE  
23 GOT WAS NEURO. AND THEN FOR PRECLINICAL AND  
24 CLINICAL, THE ONLY THING THAT WE DID IS WE  
25 ESTABLISHED A PREFERENCE CRITERIA THAT SAID CIRM IS

1 INTERESTED IN NEURO. SO THAT'S ONE CRITERIA THAT WE  
2 ARE ADDING THERE THAT WILL GIVE SOME ADVANTAGE TO  
3 NEURO.

4 BUT I THINK THE EXPENDITURES ON NEURO WE  
5 WILL SEE WHEN WE COME BACK IN JANUARY. IF THE BOARD  
6 SAYS WE ARE SPENDING 50 PERCENT AND IT'S TOO MUCH,  
7 THEN WE CAN RE-GUIDE A LITTLE BIT THE PREFERENCES AT  
8 THE PRECLINICAL AND CLINICAL DEVELOPMENT.

9 DR. THOMAS: THANK YOU VERY MUCH, ROSA.

10 JOHN, I'D JUST ADD TO THAT. THE  
11 PERCENTAGE MANDATED IN NEURO BY PROP 14 HAPPENS BY  
12 HAPPENSTANCE TO BE VERY SIMILAR TO THE PERCENTAGE  
13 WE'VE BEEN PUTTING INTO NEURO SINCE INCEPTION. SO  
14 IT'S NOT A MATTER OF BEING SHORT. IT IS SOMETHING  
15 THAT LED TO THE RESULT WE SEE WITH THE PROJECTS GIL  
16 HAS DESCRIBED. SO WE THINK WE ARE RIGHT ON SCHEDULE  
17 EXCEPT WE'VE NOW SUPERIMPOSED THE PRIORITY SYSTEM  
18 THROUGH THE PREFERENCES TO MAKE SURE THAT WE HAVE A  
19 GOOD STRATEGY FOR WHAT EXACTLY GOES INTO THAT.  
20 THANK YOU FOR THE QUESTION.

21 DR. CARETHERS: THANKS FOR THE  
22 EXPLANATION.

23 CHAIRMAN IMBASCIANI: MARIA AND THEN  
24 SHLOMO.

25 VICE CHAIR BONNEVILLE: I WAS HOPING --

**BETH C. DRAIN, CA CSR NO. 7152**

1 YOU PUT UP THE FINANCIAL PLAN. AND I WAS HOPING IN  
2 A FUTURE MEETING PERHAPS TO COME BACK TO LET US KNOW  
3 WHAT'S INTENDED FOR THOSE YEARS WITH THAT SPEND. I  
4 ASSUME THERE ARE SOME PROGRAMS THAT WILL PROBABLY BE  
5 GOING AWAY OR SOME PROGRAMS THAT WE'LL JUST SEE  
6 RAMPED UP ACTIVITY. I THINK IT'S IMPORTANT FOR THE  
7 BOARD TO UNDERSTAND WHAT THAT LOOKS LIKE AND FOR THE  
8 FIELD TO UNDERSTAND WHAT WON'T BE THERE FOR THEM  
9 PERHAPS. SO THAT'S REALLY IMPORTANT.

10 DR. THOMAS: OKAY. THANK YOU.

11 DR. MELMED: FIRSTLY, CONGRATULATIONS.  
12 THIS IS AN EXCELLENT ANALYSIS, AND A LOT OF WORK  
13 WENT INTO IT, AND IT'S VERY THOUGHTFUL. AND I ALSO  
14 RECOGNIZE THE VOTERS' WISH THAT WE DO ALLOCATE A  
15 NEURO COMPONENT. HOWEVER, I'M A LITTLE BIT  
16 CONCERNED THAT WE ARE DISCOUNTING CANCER. FOR THOSE  
17 OF US INVOLVED IN THE CLINICAL CARE AND  
18 EPIDEMIOLOGY, CANCER IS GROWING DRAMATICALLY. I'M  
19 WONDERING IF WE ARE NOT DOING A DISSERVICE TO OUR  
20 CANCER APPLICANTS WHO FEEL THAT MAYBE THEY DON'T  
21 HAVE A CHANCE AT CIRM AS MUCH AS THEIR NEURO  
22 COLLEAGUES.

23 AND GIVEN THE EPIDEMIOLOGY, ESPECIALLY IN  
24 TERMS OF TREATING CELLULAR MECHANISMS, INCLUDING  
25 STEM CELLS, SOLID TUMORS TODAY SO SUCCESSFULLY AND



1 SOME NEW BREAKTHROUGHS, I'M WONDERING IF CANCER  
2 ITSELF DOESN'T DESERVE A SIMILAR TASK FORCE WE DID  
3 FOR NEURO BECAUSE OF THE AGING POPULATION, AT LEAST  
4 IN L.A. COUNTY THAT I'M AWARE OF, SHOWS THAT WE ARE  
5 LOOKING AT 6 TO 8 PERCENT ANNUAL INCREASE, HUGE  
6 INCREASES. AND THE BREAKTHROUGHS ARE REALLY  
7 TANTALIZING AND EXCITING, AND I WOULD NOT WISH TO  
8 COMPROMISE THE PASSION OF ALL THE INVESTIGATORS TO  
9 COME TO CIRM FOR HELP IN CANCER RESEARCH. AND THIS  
10 MAY, IN FACT, PUT A DAMPER ON THEM. ALREADY PEOPLE  
11 ARE APPROPRIATELY, AND IT'S A GOOD THING BELIEVING  
12 THAT CIRM IS A GOOD PLACE FOR NEURO RESEARCH TO BE  
13 FUNDED, AND IT'S GREAT, BUT LET'S NOT NEGLECT  
14 CANCER.

15 SO I'M PUTTING IN A PLUG FOR PERHAPS BEING  
16 MORE FOCUSED ON LOOKING AT A NEXT STEP IN TERMS OF  
17 OVERALL DISEASES AND CANCER AS A STRATEGIC PROJECT.

18 DR. THOMAS: SHLOMO, THANK YOU VERY MUCH  
19 FOR THAT COMMENT. I DO WANT TO JUST NOTE FOR THE  
20 RECORD THAT THE WAY THAT THE PREFERENCES ARE SET UP  
21 ARE NOT MEANT TO MAKE IT SO ANY PARTICULAR DISEASE  
22 AREA CAN'T QUALIFY.

23 DR. MELMED: I GET THAT. BUT I'M JUST  
24 GIVING THE PERCEPTION, AND THESE NUMBERS SORT OF  
25 ATTEST TO THAT.

**BETH C. DRAIN, CA CSR NO. 7152**

1 DR. THOMAS: SURE. AND WE UNDERSTAND  
2 THAT; BUT AS GIL LAID OUT, THE PROJECTS THAT MADE IT  
3 THROUGH IN HIS EXAMPLES WERE THOSE THAT HAPPENED TO  
4 HIT MULTIPLE NUMBERS OF PREFERENCES. AND THERE  
5 DIDN'T HAPPEN TO BE ONE IN CANCER THAT DID THAT TO  
6 THE SAME EFFECT OF THE OTHERS THAT DID MAKE IT  
7 THROUGH, WHICH ISN'T TO SAY THAT IN A FUTURE ROUND  
8 THAT WOULDN'T BE THE CASE AT ALL, BUT DULY NOTED ON  
9 YOUR GENERAL COMMENT. THANK YOU.

10 VICE CHAIR BONNEVILLE: I ALSO THINK WHEN  
11 WE TALKED ABOUT PREFERENCES, PERHAPS THE BOARD HAD A  
12 DIFFERENT UNDERSTANDING OF WHAT THE -- HOW IT WOULD  
13 TURN OUT OR WHAT PREFERENCES MEANT EXACTLY HOW IT  
14 WAS BEING APPLIED. SO THIS IS A WONDERFUL STEP IN  
15 EDUCATING ALL OF US AS TO WHERE WE ARE WITH  
16 PREFERENCES AND WHAT IT MEANS. AND AS ROSA  
17 MENTIONED, THERE WILL BE MORE DATA FOR US IN  
18 JANUARY, AND I WOULD -- WE GOT A GLIMPSE OF THE CLIN  
19 TODAY, BUT THERE IS THE DISCOVERY AND THERE IS THE  
20 PDEV, WHICH IS IMPORTANT. BUT AS ROSA MENTIONED, WE  
21 MAY WALK AWAY FROM THE JANUARY MEETING SAYING WE  
22 DON'T WANT TO USE PREFERENCES ANYMORE OR PREFERENCES  
23 AREN'T WORKING THE WAY WE THOUGHT THEY WERE GOING TO  
24 WORK. I THINK THAT'S AN IMPORTANT CONVERSATION TO  
25 HAVE, AND I'VE BEEN ENCOURAGING THAT INTERNALLY FOR

1 ALL OF US TO TALK ABOUT.

2 DR. CANET-AVILES: YEAH. AND THERE WILL  
3 BE A CURRENT ANALYSIS OF WHAT WE'VE DONE, AND THE  
4 FORMAL ANALYSIS WITHOUT PREFERENCES AS WELL AS WE  
5 DID BEFORE, AND THEN THE BOARD WILL BE ABLE TO MAKE  
6 DECISIONS BASED UPON THAT.

7 WE ALSO NEED TO REMEMBER THAT OUR MANDATE  
8 IS ACCESSIBILITY AND AFFORDABILITY, AND A LOT OF THE  
9 WAY THAT WE HAVE BEEN ALIGNING THE PREFERENCES IS  
10 WITH A VIEW THAT WE WILL GET THESE BLA'S AND WE WILL  
11 BE ABLE TO HELP WITH THE NEXT STEPS, WHICH IS  
12 SOMETHING WE ARE WORKING ON.

13 CHAIRMAN IMBASCIANI: THANK YOU, MR.  
14 PRESIDENT.

15 MR. TOCHER: ANNE-MARIE HAS HER HAND  
16 RAISED.

17 CHAIRMAN IMBASCIANI: ANNE-MARIE HAS A  
18 QUESTION. I DIDN'T SEE THAT.

19 DR. DULIEGE: YES. SO, FIRST, ROSA, THANK  
20 YOU SO MUCH TO YOU AND YOUR TEAM AT LARGE FOR THIS  
21 UPDATE OF THE STRATEGIC GOALS AND FRAMEWORK.

22 ONE OF THE IMPORTANT PREFERENCES IS MOVING  
23 EXPERIMENTAL TREATMENTS TO BLA STAGE. HOW  
24 OPTIMISTIC ARE YOU THAT WE WILL GET THERE? CAN YOU  
25 UPDATE US ON THE NUMBER -- ROUGHLY THE NUMBER OF

1 PHASE 2 OR PHASE 3 TRIALS THAT ARE SUPPORTED BY CIRM  
2 AND ARE MOVING IN THAT DIRECTION? I WELCOME YOUR  
3 PERSPECTIVE ON THIS ONE. THANK YOU.

4 DR. CANET-AVILES: THANK YOU, ANNE-MARIE.  
5 I DON'T KNOW IF I CAN TALK ABOUT THIS. FROM THE TOP  
6 OF MY HEAD, YES, WE ACTUALLY HAD A RECENT ANALYSIS  
7 BECAUSE WE ARE LOOKING AT TWO THINGS. THIS IS JUST  
8 A LITTLE APPETIZER BEFORE WE COME TO THE BOARD. BUT  
9 WE OBVIOUSLY NEED TO FIGURE OUT HOW ARE WE GOING TO  
10 HELP REACH THE BLA. AND I KNOW THAT THERE ARE A LOT  
11 OF GRANTEES OR POTENTIAL APPLICANTS OUT THERE THAT  
12 ARE WAITING FOR US TO SAY, OKAY, WE HAVE PIVOTAL  
13 TRIAL. HOW ARE WE GOING TO MAKE THE JUMP TO GET TO  
14 THE BLA AND WE NEED A LITTLE BIT OF HELP. SO WE ARE  
15 WORKING ON THE DEVELOPMENT OF THIS PROGRAM WITH DR.  
16 JOE GOLD AND DR. SHYAM PATEL.

17 AND THEN THERE'S GOING TO BE -- SO FOR  
18 THAT, WHAT WE'VE DONE IS AN ANALYSIS OF WHAT'S IN  
19 PIPELINE FROM CIRM THAT COULD BE BLA-LIKE OR MORE  
20 COMPASSIONATE USE OF AND HOW WE WOULD DIFFERENTIATE  
21 THOSE PROGRAMS. ARE THEY GOING TO BE ABLE TO MOVE  
22 ON THEIR OWN AFTER THEY GET THE BLA? DO THEY HAVE  
23 PARTNERS? ARE THEY GOING TO BE ABLE TO BE  
24 COMMERCIALIZED? DO THEY NEED HELP? AND HOW ARE WE  
25 GOING TO THINK ABOUT SOME KIND OF INSTRUMENT OR

1 SOMETHING THAT WE WILL HELP THEM MOVE FORWARD?

2 SO THERE ARE PROGRAMS. I THINK I CAN COME  
3 TO THE BOARD IN THE FUTURE WITH A LIST OF THOSE  
4 PROGRAMS SO WE CAN EVALUATE THAT TOGETHER. THAT  
5 WILL ALSO BE, I THINK IT WAS, ABOUT 15, 14 OR 15  
6 PROGRAMS. AND THAT WAS BASED ON WHEN WE DID THE  
7 FOUR TO SEVEN BLA'S THAT WAS HOPING THAT WE COULD  
8 GET FOR SURE THOSE PROGRAMS. SO WHAT ELSE WAS I  
9 GOING TO SAY?

10 YES, THIS IS IN LINE ALSO WITH THE  
11 ACCESSIBILITY AND AFFORDABILITY STRATEGY THAT WE ARE  
12 DEVELOPING UNDER MARIA BONNEVILLE'S, OUR CO-CHAIR'S,  
13 LEADERSHIP AND THE CHAIR OF THE ACCESSIBILITY AND  
14 AFFORDABILITY WORKING GROUP.

15 DID I ANSWER YOUR QUESTION? I THINK I  
16 WENT A LITTLE LONG WITH IT.

17 DR. DULIEGE: THANK YOU.

18 CHAIRMAN IMBASCIANI: THANK YOU,  
19 ANNE-MARIE. YES.

20 DR. DAHL: THANK YOU FOR THIS. VERY NICE.  
21 I HAVE A CLARIFYING QUESTION ON GOAL 3 WHERE IT SAYS  
22 ADVANCE FOUR TO SEVEN RARE DISEASE PROJECTS TO BLA.  
23 ARE THERE ANY THAT AREN'T RARE DISEASE? ARE WE  
24 INCLUDING OPPORTUNITIES FOR NON-RARE DISEASE, OR IS  
25 THE FOCUS REALLY TO BE ON RARE DISEASE?

1 DR. CANET-AVILES: MOST OF OUR PORTFOLIO  
2 IS RARE DISEASES. THE ONES THAT ARE -- SOME OF  
3 THEM, LIKE SOME OF THE RARE DISEASES, THEY IMPACT  
4 LIKE SEVERAL HUNDRED OR THOUSAND OF PATIENTS, BUT  
5 MOST OF OUR PORTFOLIO IS RARE. IF WE GET FOR A  
6 PREVALENT DISEASE, DEFINITELY WE COULD BE LIKE  
7 HELPING WITH THAT. BUT USUALLY THOSE THAT ARE GOING  
8 FOR A PREVALENT DISEASE WILL HAVE MORE HELP FROM  
9 INDUSTRY. SO THAT -- AND IT WAS BASED ON WHAT WE  
10 HAVE IN THE PORTFOLIO, WHICH IS MORE THE PART OF  
11 CELL AND GENE THERAPIES.

12 DR. THOMAS: SHANNON, ROUGHLY 50 PERCENT  
13 OF OUR PORTFOLIO IS IN RARE DISEASE RIGHT NOW. SO A  
14 MAJOR EMPHASIS.

15 DR. DAHL: THANK YOU.

16 CHAIRMAN IMBASCIANI: J.T., THANK YOU.  
17 AND GIL, ROSA, THANK YOU FOR THE UPDATE ON THE SAF.  
18 I'D LIKE TO INVITE NOW OUR GENERAL COUNSEL TO COME  
19 UP FOR A DISCUSSION OF CIRM'S ACCESS STRATEGY PLAN.

20 MR. AGUIRRE-SACASA: BOARD MEMBERS,  
21 MEMBERS OF THE PUBLIC, CIRM COLLEAGUES, GOOD  
22 AFTERNOON. MY NAME IS RAFAEL AGUIRRE-SACASA, AND  
23 I'M THE GENERAL COUNSEL FOR CIRM. AND IT'S MY  
24 PLEASURE TODAY TO WALK YOU THROUGH CIRM'S ACCESS  
25 PLAN REQUIREMENTS, AN IMPORTANT COMPONENT OF OUR

1 BROADER MANDATE, TO ENSURE EQUITABLE AND AFFORDABLE  
2 ACCESS TO CELL AND GENE THERAPIES FOR ALL  
3 CALIFORNIANS.

4 BEFORE I BEGIN, I WANT TO TAKE A QUICK  
5 PAUSE TO LEVEL-SET AND DRAW A DISTINCTION BETWEEN  
6 FOUR RELATED BUT SEPARATE EFFORTS. FIRST ARE THE  
7 STATUTORY ACCESS PLAN REQUIREMENTS WHICH WE'LL  
8 REVIEW MOMENTARILY. THESE STATUTORY REQUIREMENTS  
9 LEGALLY OBLIGATE COMMERCIALIZING ENTITIES TO SUBMIT  
10 ACCESS PLANS TO CIRM FOR REVIEW AND APPROVAL ONCE  
11 THEIR THERAPIES HAVE BEEN APPROVED.

12 THESE STATUTORY REQUIREMENTS ARE DIFFERENT  
13 FROM THE ACCESS AND AFFORDABILITY EFFORTS LED BY  
14 CIRM'S PROGRAM TEAM WHICH REQUIRE APPLICANTS TO  
15 DISCLOSE THEIR ACCESS AND AFFORDABILITY STRATEGIES  
16 AT THE APPLICATION STAGE, ALLOWING CIRM TO EVALUATE  
17 ACCESS PLANNING THROUGHOUT THE AWARD LIFE CYCLE VIA  
18 CONTRACTUAL MILESTONES.

19 THIRD IS THE BLUE RIDGE RESEARCH WHICH WE  
20 WILL DISCUSS LATER IN THE PRESENTATION. THIS  
21 RESEARCH IDENTIFIES INDUSTRY BENCHMARKS FOR HOW  
22 COMPANIES MIGHT STRUCTURE THEIR OWN PATIENT  
23 ASSISTANCE PROGRAMS.

24 AND LAST, SEPARATE FROM THESE  
25 COMMERCIALIZING OBLIGATIONS, CIRM'S OWN PATIENT

1 SUPPORT PROGRAM FUNDED THROUGH A 2023 AWARD TO  
2 EVERSANA, WHICH IS DESIGNED TO ASSIST PATIENTS  
3 PARTICIPATING IN CIRM-FUNDED CLINICAL TRIALS BY  
4 PROVIDING NAVIGATION AND SUPPORT SERVICES.

5 MR. TOCHER: I BELIEVE THE SLIDES ARE NOT  
6 ADVANCING.

7 MR. AGUIRRE-SACASA: I DIDN'T MEAN TO.  
8 LET ME START WITH THE AGENDA, SCOTT, IF THAT'S OKAY.

9 FIRST, I'LL PROVIDE YOU WITH SOME  
10 BACKGROUND ON CIRM'S STATUTORY ACCESS PLAN  
11 REQUIREMENTS AND HOW THEY FLOW FROM OUR INTELLECTUAL  
12 PROPERTY REGULATIONS. NEXT I'LL EXAMINE SPECIFIC  
13 ACCESS PLAN OBLIGATIONS THAT APPLY TO  
14 COMMERCIALIZING ENTITIES. I'LL THEN EXPLAIN HOW  
15 THESE REQUIREMENTS DOVETAIL WITH THE ACCESSIBILITY  
16 AND AFFORDABILITY INITIATIVE DEVELOPED EARLIER THIS  
17 YEAR BY THE PROGRAMS TEAM. WE'LL ALSO REVIEW  
18 INSIGHTS FROM OUR CONSULTANTS AT BLUE RIDGE LIFE  
19 SCIENCES, WHO BENCHMARKED PATIENT ASSISTANCE  
20 PROGRAMS NATIONWIDE AND PROVIDED US WITH A FRAMEWORK  
21 FOR EVALUATING ACCESS PLANS. FINALLY, WE'LL CLOSE  
22 WITH A DISCUSSION OF NEXT STEPS AND OPEN THE FLOOR  
23 TO QUESTIONS AND FEEDBACK.

24 WITH THE ROADMAP IN MIND, LET'S START WITH  
25 THE FOUNDATION, CIRM'S STATUTORY ACCESS PLAN



1 REQUIREMENTS. CIRM'S ACCESS PLAN REQUIREMENTS STEM  
2 DIRECTLY FROM OUR IP REGULATIONS. THESE REGULATIONS  
3 REQUIRE THAT ANY COMMERCIALIZING ENTITY SELLING A  
4 DRUG DEVELOPED WITH CIRM FUNDS MUST SUBMIT AN ACCESS  
5 PLAN TO CIRM THAT AFFORDS ACCESS TO CALIFORNIANS WHO  
6 OTHERWISE HAVE NO MEANS TO PURCHASE THE THERAPY.  
7 SPECIFICALLY, DRUGS DEVELOPED WITH CIRM FUNDING MUST  
8 BE MADE AVAILABLE IN CALIFORNIA AT THE BENCHMARK  
9 PRICE ESTABLISHED BY THE CALIFORNIA DISCOUNT  
10 PRESCRIPTION DRUG PROGRAM OR ANY SUCCESSOR PROGRAM.

11 WHEN WE SAY NO OTHER MEANS, WE MEAN  
12 PATIENTS WITHOUT PRESCRIPTION DRUG BENEFITS AND  
13 WHOSE FAMILY INCOMES FALL BELOW 300 PERCENT OF THE  
14 FEDERAL POVERTY LEVEL.

15 TIMING IS ALSO CRITICAL. ACCESS PLANS  
16 MUST BE SUBMITTED TO CIRM WITHIN TEN BUSINESS DAYS  
17 OF FDA APPROVAL. EXTENSIONS ARE POSSIBLE, AND I'LL  
18 RETURN TO THOSE SHORTLY.

19 SO ADDITIONALLY, THERE'S ALSO A WAIVER  
20 OPTION. A COMMERCIALIZING ENTITY MAY PETITION THE  
21 ICOC FOR A WAIVER OF THE ACCESS PLAN REQUIREMENT  
22 WHICH CAN ONLY BE GRANTED AFTER A PUBLIC HEARING.  
23 SO ONCE THE PLAN IS SUBMITTED, A WAIVER IS SOUGHT,  
24 WHAT EXACTLY HAPPENS NEXT? LET'S WALK THROUGH THE  
25 CIRM REVIEW PROCESS NOW.

1           ONCE SUBMITTED, ACCESS PLANS ARE SUBJECT  
2           TO CIRM APPROVAL FOLLOWING A PUBLIC HEARING. THAT  
3           PROCESS INCLUDES A PUBLIC COMMENT PERIOD WHICH MAY  
4           INCLUDE WRITTEN OR ORAL TESTIMONY. HERE'S HOW THE  
5           TIMING WORKS. NONCONFIDENTIAL PORTIONS OF A PLAN  
6           ARE POSTED ONLINE, A SEVEN-DAY PUBLIC COMMENT PERIOD  
7           FOLLOWS, AND THEN CIRM MUST RENDER A DECISION WITHIN  
8           FIVE BUSINESS DAYS AFTER THE CLOSE OF THE PUBLIC  
9           COMMENT PERIOD. IMPORTANTLY, CIRM'S APPROVAL CANNOT  
10          BE UNREASONABLY WITHHELD AND CANNOT REQUIRE THAT  
11          ACCESS PLANS EXCEED INDUSTRY STANDARDS AT THE TIME  
12          OF COMMERCIALIZATION FOR THE ENTITY. EXTENSIONS OF  
13          UP TO 30 BUSINESS DAYS ARE AVAILABLE IF THE ENTITY  
14          FOLLOWS THE PROPER PROCESS.

15                TO SUMMARIZE, AN ACCESS PLAN MUST ALIGN  
16          WITH INDUSTRY STANDARDS AT THE TIME OF  
17          COMMERCIALIZATION. IT MUST REFLECT THE RESOURCES OF  
18          THE ENTITY. LARGE OR WELL-CAPITALIZED COMPANIES  
19          WILL BE EXPECTED TO DO MORE THAN SMALLER BIOTECH  
20          FIRMS. FINALLY, THE PLAN MUST BE APPROVED BY CIRM  
21          FOLLOWING A PUBLIC HEARING.

22                AS I NOTED EARLIER, COMPANIES CAN PETITION  
23          THE ICOC FOR A WAIVER. SUCH PETITIONS MUST ALSO BE  
24          SUBMITTED WITHIN TEN BUSINESS DAYS OF FDA APPROVAL  
25          UNLESS THE CHAIR GRANTS AN EXTENSION. THE ICOC MAY

1 GRANT THE WAIVER IF AFTER A PUBLIC HEARING IT  
2 DETERMINES THAT THE ABSENCE OF SUCH A WAIVER WOULD  
3 UNREASONABLY HINDER DRUG DEVELOPMENT AND DELIVERY OR  
4 IF THE WAIVER ITSELF WOULD PROVIDE EQUAL OR GREATER  
5 BENEFITS TO THE STATE THAN THE SUBMISSION OF AN  
6 ACCESS PLAN.

7 CONFIDENTIALITY IS ALSO A MAJOR  
8 CONSIDERATION. BOTH PROPOSITION 71 AND 14 EXPRESSLY  
9 PROTECT FROM DISCLOSURE ANY DOCUMENTS CONTAINING  
10 CONFIDENTIAL INTELLECTUAL PROPERTY OR WORK PRODUCT.  
11 COMMERCIALIZING ENTITIES MAY DESIGNATE PORTIONS OF  
12 THEIR ACCESS PLANS AS CONFIDENTIAL AND MUST EXPLAIN  
13 WHY THE INFORMATION SHOULD BE PROTECTED UNDER  
14 APPLICABLE LAW.

15 CIRM'S LEGAL TEAM WILL THEN REVIEW THESE  
16 CONFIDENTIALITY REQUESTS TO ENSURE THEY FALL WITHIN  
17 STATUTORY PROTECTIONS, INCLUDING THE PUBLIC RECORDS  
18 ACT, AND REQUESTS THAT DON'T COMPLY MAY BE WITHDRAWN  
19 AND RESUBMITTED. IN ADDITION, THE ICOC MAY REVIEW  
20 PROPRIETARY MATERIAL IN CLOSED SESSIONS, AND NOTHING  
21 HERE PREEMPTS STRICTER STATE OR MATERIAL  
22 CONFIDENTIALITY REQUIREMENTS.

23 WITH CONFIDENTIALITY ADDRESSED, LET'S STEP  
24 BACK AND SEE HOW THESE STATUTORY OBLIGATIONS CONNECT  
25 TO THE PROGRAMMATIC WORK ALREADY UNDER WAY. THESE

1 STATUTORY PLAN OBLIGATIONS JUST REVIEWED ARE  
2 DESIGNED TO COMPLEMENT THE PROGRAMMATIC EFFORTS THAT  
3 ROSA'S TEAM PRESENTED IN APRIL. THE PROGRAM TEAM'S  
4 STRUCTURED REVIEW AND ENGAGEMENT PROCESS, AS  
5 OUTLINED IN ITS APRIL 30, 2025, PRESENTATION TO THE  
6 AAWG, INTRODUCES ACCESS AND AFFORDABILITY  
7 CONSIDERATIONS AT THE EARLIEST STAGES OF CIRM  
8 FUNDING. CHECKLIST ITEMS AT BOTH APPLICATION AND  
9 MILESTONE PHASES ENSURE -- BUILD PATIENT ACCESS INTO  
10 EVERY STAGE OF THE AWARD CYCLE. THIS STAGED  
11 APPROACH PROVIDES EARLY VISIBILITY INTO POTENTIAL  
12 BARRIERS AND ESTABLISHES MEASURABLE COMMITMENTS THAT  
13 CAN BE TRACKED AND REFINED OVER TIME. IN DOING SO,  
14 APPLICANTS ARE GUIDED TOWARD DEVELOPING A FEASIBLE,  
15 EQUITY-FOCUSED ACCESS STRATEGY WELL BEFORE THEIR  
16 THERAPY REACHES COMMERCIALIZATION.

17 IN SUMMARY, CIRM'S INTEGRATED APPROACH  
18 FROM APPLICATION TO COMMERCIALIZATION CREATES  
19 ACCOUNTABILITY AND CONSISTENCY, EMBEDDING ACCESS AND  
20 AFFORDABILITY AS CORE EXPECTATIONS OF EVERY AWARD  
21 RATHER THAN THE LEAVING THEM AS AFTERTHOUGHTS.  
22 THOSE STATUTORY AND PROGRAMMATIC EFFORTS GIVE US THE  
23 FRAMEWORK.

24 NOW LET'S LOOK AT HOW REAL-WORLD  
25 BENCHMARKS CAN GUIDE THE IMPLEMENTATION. TURNING

1 NOW TO THE BENCHMARKING WORK CONDUCTED BY BLUE RIDGE  
2 LIFE SCIENCES, THE TEAM CARRIED OUT BOTH PRIMARY AND  
3 SECONDARY RESEARCH COMBINING A REVIEW OF PUBLIC  
4 DOCUMENTS WITH EXPERT INTERVIEWS IN THE PATIENT  
5 ASSISTANCE FIELD. THESE FINDINGS INFORMED THE  
6 DISTILLATION OF BEST PRACTICES FOR ACCESS PLANS IN  
7 THE CELL AND GENE THERAPY SPACE.

8 WHAT I WILL SHARE TODAY IS A CONDENSED  
9 SUMMARY OF THEIR RESEARCH WITH A FULL ANALYSIS  
10 INCLUDED IN THE APPENDIX TO THIS PRESENTATION. THE  
11 OBJECTIVE IS TO HIGHLIGHT THE COMMON COMPONENTS AND  
12 BEST PRACTICES THAT CAN GUIDE CIRM'S REVIEW OF  
13 SUBMITTED ACCESS PLANS. BY REVIEWING THE PATIENT  
14 ASSISTANCE PROGRAM WEBSITES OF 14 FDA-APPROVED CELL  
15 AND GENE THERAPY PRODUCTS, BLUE RIDGE IDENTIFIED  
16 EIGHT CORE ATTRIBUTES THAT CONSISTENTLY APPEAR  
17 ACROSS SUCCESSFUL ACCESS PLANS. THEY'RE LISTED  
18 RIGHT THERE. TOGETHER THESE ATTRIBUTES PROVIDE A  
19 PRACTICAL FRAMEWORK FOR ENSURING THAT PATIENTS CAN  
20 ACCESS, NAVIGATE, AND BENEFIT FULLY FROM ADVANCED  
21 THERAPIES.

22 AS A BASELINE, MOST PATIENT ASSISTANCE  
23 PROGRAMS ARE A COMMON SET OF ELIGIBILITY  
24 REQUIREMENTS. THESE TYPICALLY INCLUDE U.S.  
25 CITIZENSHIP OR LEGAL RESIDENCY, A VALID PRESCRIPTION

1     OFTEN WITH PRIOR AUTHORIZATION, AND A CONFIRMED  
2     DIAGNOSIS, AND INCOME THRESHOLDS RANGING FROM 200 TO  
3     600 PERCENT OF THE FEDERAL POVERTY LEVEL.  MANY  
4     PROGRAMS ALSO EXCLUDE COVERED PATIENTS BY MEDICARE,  
5     MEDICAID, VA OR TRICARE SINCE THOSE INDIVIDUALS  
6     ALREADY HAVE ACCESS TO GOVERNMENT-SPONSORED  
7     BENEFITS.  INSTEAD, PAP'S ARE PRIMARILY DESIGNED TO  
8     SUPPORT THE COMMERCIALLY INSURED AND UNDERINSURED  
9     POPULATIONS WHO MAY FACE SIGNIFICANT GAPS IN  
10    COVERAGE.

11           BEST PRACTICES AND PATIENT SUPPORT  
12    PROGRAMS EMPHASIZE THE ROLE OF DEDICATED CASE  
13    MANAGERS OR NAVIGATORS WHO PROVIDE WHITE GLOVE  
14    END-TO-END GUIDING PATIENTS THROUGH EACH STAGE OF  
15    CARE.  THESE PRACTICES INCLUDE CONNECTING PATIENTS  
16    WITH ACCESS PROFESSIONALS WHO CAN ASSIST WITH  
17    BENEFITS INVESTIGATIONS, SUCH AS VERIFYING INSURANCE  
18    COVERAGE, RESPONDING TO DENIALS, AND MANAGING  
19    APPEALS.  THEY ALSO HIGHLIGHT THE VALUE OF  
20    ELIGIBILITY TOOLS AND ENABLE PROVIDERS TO QUICKLY  
21    DETERMINE WHETHER PATIENTS QUALIFY FOR ASSISTANCE.  
22    SUPPORT IS FURTHER REINFORCED BY EXTENDING BEYOND  
23    TREATMENT ADMINISTRATION TO INCLUDE ADHERENCE  
24    MONITORING, MILESTONE TRACKING AND POST-TREATMENT  
25    FOLLOW-UP.  COLLECTIVELY THESE APPROACHES ESTABLISH

1 A ONE-STOP SHOP, ENABLING PATIENTS AND CAREGIVERS TO  
2 NAVIGATE WHAT WOULD OTHERWISE BE A HIGHLY COMPLEX  
3 AND FRAGMENTED JOURNEY.

4 FINANCIAL AND LOGISTICAL SUPPORT ARE  
5 EQUALLY CRITICAL THOUGH IT IS IMPORTANT TO NOTE THAT  
6 NOT ALL PATIENT ASSISTANCE PROGRAMS PROVIDE DIRECT  
7 FINANCIAL ASSISTANCE. SOME LIMIT THEIR ROLE TO  
8 NAVIGATIONAL SUPPORT ACTIVITIES ONLY. WHERE  
9 FINANCIAL COVERAGE IS COVERED, PAP'S TYPICALLY FOCUS  
10 ON DRUG COSTS, COPAY ASSISTANCE, AND ADMINISTRATIVE  
11 FEES. INDIRECT SUPPORT MAY EXTEND TO TRAVEL,  
12 LODGING, MEALS, AND CHILDCARE. MANY PROGRAMS ALSO  
13 COVER CAREGIVER EXPENSES AND PROVIDE TAILORED  
14 LOGISTICAL SERVICES SUCH AS COORDINATING COLD CHAIN  
15 SHIPMENT FOR PRODUCT DELIVERY AND ARRANGING ACCESS  
16 FOR SPECIALIZED TREATMENT SITES. TOGETHER THESE  
17 MEASURES HELP REMOVE PRACTICAL BARRIERS THAT MIGHT  
18 OTHERWISE PREVENT PATIENTS, ESPECIALLY THOSE OF  
19 LIMITED MEANS, FROM RECEIVING THE THERAPY.

20 BEYOND FINANCIAL AND LOGISTICAL SUPPORT,  
21 MANY PROGRAMS ALSO EXTEND THE ASSISTANCE DIRECTLY TO  
22 PROVIDERS AND PATIENTS AFTER TREATMENT. LET'S TAKE  
23 A CLOSER LOOK. BLUE RIDGE'S RESEARCH FOUND THAT  
24 SOME PATIENT ASSISTANCE PROGRAMS EXTEND SUPPORT TO  
25 HEALTHCARE PROVIDERS, INCLUDING PROGRAM

1 ACCESSIBILITY RESOURCES, PROVIDER TRAINING, AND THE  
2 DEVELOPMENT OF PRACTICAL TOOLS. IN ADDITION,  
3 CERTAIN PATIENT ASSISTANCE PROGRAMS OFFER VARYING  
4 LEVELS OF POST-TREATMENT SUPPORT SUCH AS REGULAR  
5 CHECK-INS, FINANCIAL ASSISTANCE FOR FOLLOW-UP CARE,  
6 ADHERENCE TRACKING, AND MILESTONE MONITORING, AS I  
7 MENTIONED EARLIER. COLLECTIVELY, THESE EFFORTS AIM  
8 TO REDUCE -- TO REINFORCE CONTINUOUS COMPLIANT  
9 PATIENT CARE AND HELP ENSURE SUCCESSFUL THERAPEUTIC  
10 OUTCOMES.

11 IN ADDITION TO PROVIDING POST-TREATMENT  
12 SUPPORT, ANOTHER KEY ELEMENT IS ACCESSIBILITY,  
13 ENSURING THAT PATIENTS, PARTICULARLY THOSE FROM  
14 UNREPRESENTED AND UNDERSERVED COMMUNITIES, CAN  
15 READILY FIND AND ENROLL IN THESE PROGRAMS.

16 THE BENCHMARKING ANALYSIS HIGHLIGHTED THAT  
17 PATIENT ASSISTANCE PROGRAMS EMPHASIZE PROGRAM  
18 ACCESSIBILITY, OFTEN INCORPORATING EQUITY-ORIENTED  
19 ELEMENTS. THESE EFFORTS INCLUDE REDUCING THE TIME  
20 TO FIRST PATIENT CONTACT, SIMPLIFYING ENROLLMENT,  
21 AND OFFERING MULTIPLE CHANNELS OF INTERACTION, SUCH  
22 AS PHONE, EMAIL, OR ONLINE PORTALS, TO MAKE  
23 PARTICIPATION AS CONVENIENT AS POSSIBLE. DESPITE  
24 THESE MEASURES, OUR RESEARCH INDICATES THAT NEARLY  
25 HALF OF PATIENTS REMAIN UNAWARE OF THE EXISTENCE OF



1       THESE PATIENT ASSISTANCE PROGRAMS.

2               TO ADDRESS THIS GAP, ACCESSIBILITY  
3       STRATEGIES ALSO EXTEND TO OUTREACH EFFORTS TARGETING  
4       UNDERSERVED AND UNDERREPRESENTED COMMUNITIES,  
5       INCLUDING MULTILINGUAL SUPPORT AND LOCALIZED  
6       RESOURCES FOR REMOTE POPULATIONS.

7               ACCESSIBILITY IS ONE PIECE, BUT HOW  
8       COMPANIES ACTUALLY DELIVER THESE PROGRAMS IS  
9       ANOTHER. LET'S TURN TO THE ROLE OF OUTSOURCING.

10              BLUE RIDGE FOUND THAT ROUGHLY 88 PERCENT  
11       OF MANUFACTURERS OUTSOURCE AT LEAST SOME PATIENT  
12       ASSISTANCE SERVICES. THE REASONS ARE  
13       STRAIGHTFORWARD. PROVIDING 24/7 END-TO-END SUPPORT  
14       REQUIRES INFRASTRUCTURE AND EXPERTISE THAT MANY  
15       COMPANIES LACK. LARGE PHARMACEUTICAL FIRMS OFTEN  
16       ADOPT HYBRID MODELS, RETAINING CRITICAL QUALITY  
17       CONTROL FUNCTIONING IN-HOUSE WHILE OUTSOURCING CALL  
18       CENTERS OR COMPLIANCE HEAVY SERVICES. SMALLER  
19       BIOTECH COMPANIES, BY CONTRAST, TEND TO RELY MORE  
20       HEAVILY ON OUTSOURCING, PARTICULARLY FOR  
21       REIMBURSEMENT, FINANCIAL ASSISTANCE, AND LOGISTICS.  
22       A LIST OF POTENTIAL HUB SERVICE PROVIDERS, I.E.,  
23       OUTSOURCING ENTITIES, IS INCLUDED IN THE APPENDIX  
24       FOR AWARDEES TO CONSIDER.

25              TAKEN TOGETHER, THESE PATTERNS ILLUSTRATE

1 WHY HYBRID MODELS HAVE EMERGED AS A PRACTICAL AND  
2 SUSTAINABLE APPROACH ACROSS THE INDUSTRY.

3 DESPITE THE EMERGENCE OF THESE BEST  
4 PRACTICES, IMPORTANT GAPS STILL REMAIN. KEY  
5 QUESTIONS INCLUDE WHAT IS THE MOST EFFECTIVE  
6 ADMINISTRATIVE MODEL: IN-HOUSE, HYBRID, OR FULLY  
7 OUTSOURCED? HOW TRANSPARENT SHOULD ELIGIBILITY  
8 CRITERIA BE MADE TO PATIENTS AND PROVIDERS? HOW CAN  
9 COMPREHENSIVE SUPPORT BE BALANCED AGAINST COST AND  
10 SCALABILITY CONSTRAINTS?

11 AS NOTED EARLIER, AWARENESS REMAINS A  
12 CRITICAL GAP WITH STUDIES SHOWING THAT NEARLY HALF  
13 OF ELIGIBLE PATIENTS REMAIN UNINFORMED ABOUT THESE  
14 PROGRAMS.

15 WITH THOSE CHANGES IN MIND, HERE'S HOW  
16 CIRM INTENDS TO MOVE FORWARD. LOOKING AHEAD, OUR  
17 NEXT STEP WILL FOCUS ON THREE PRIORITIES:  
18 DEVELOPING TOOLS AND CHECKLISTS TO SCORE ACCESS  
19 PLANS AND SYSTEMATICALLY TRACK THE INFORMATION  
20 DISCUSSED TODAY, IDENTIFYING AND ONBOARDING  
21 CONSULTANT EXPERTS TO STRENGTHEN THE REVIEW PROCESS,  
22 ESTABLISHING AN ENGAGEMENT AND COMMUNICATION PLAN  
23 FOR AWARDEES APPROACHING BLA SUBMISSION. TOGETHER  
24 THESE ACTIONS WILL HELP ENSURE THAT AWARDEES RECEIVE  
25 THE GUIDANCE AND SUPPORT NEEDED TO DELIVER ROBUST,

1 COMPLIANT ACCESS PLANS.

2 THAT CONCLUDES MY PREPARED REMARKS. I'D  
3 BE HAPPY TO TAKE ANY QUESTIONS OR COMMENTS.

4 MS. DURON: VITO?

5 CHAIRMAN IMBASCIANI: YES, PLEASE, YSABEL.

6 MS. DURON: A COUPLE OF THINGS CAME UP FOR  
7 ME. ONE OF THEM WAS PERHAPS LANGUAGE GAPS FOR  
8 ACCESSIBILITY THAT AREN'T ON PAPER HERE. REALLY,  
9 THAT 50 PERCENT YOU TALK ABOUT IS, IN FACT, THAT  
10 HUGE GAP FOR MANY PATIENTS AND THEIR FAMILIES EVEN  
11 KNOWING THAT THEY CAN BE PART OF SOMETHING. SO THAT  
12 IS ALSO A COMMUNICATION PLAN.

13 AND I ALSO WONDERED IF IN THE SCHEME OF  
14 THINGS SOMETHING NEEDS TO BE NOTED WITHIN THIS THAT  
15 ALL ATTEMPTS WILL BE MADE TO PROTECT ALL APPLICANTS  
16 WHO MIGHT HAVE SOME CONCERNS ABOUT GOVERNMENT  
17 INTERFERENCE? OR HOW DO WE SAY IT IN A WAY IN WHICH  
18 THEY LOOK AT US AND SAY YOU'RE GOVERNMENT AND I  
19 CAN'T TRUST GOVERNMENT ANYMORE? WE'RE TALKING ABOUT  
20 SOME OF THE MOST VULNERABLE WHO ARE BARELY GETTING  
21 ACCESS. AND NOW WE'RE TRYING TO PULL THEM INTO THIS  
22 OPPORTUNITY. AND I THINK WE NEED TO FIND A WAY TO  
23 CLEARLY MAKE THOSE WHO HOLD THESE CONTRACTS  
24 ACCOUNTABLE TO ASSISTING THOSE POTENTIAL PATIENTS.

25 MR. AGUIRRE-SACASA: ABSOLUTELY. AND I

1 THINK THAT THAT COMBINATION OF THE PROGRAMMATIC  
2 EFFORTS ON THE APPLICATION SIDE, WHICH WILL START  
3 GETTING PEOPLE TO THINK ON THESE THINGS, WILL GIVE  
4 US AN OPPORTUNITY TO HELP INFLUENCE THOSE DECISIONS  
5 AS THEY GET THROUGH SO THAT WHEN THEY DO COME TO US  
6 WITH THE ACCESS PLAN AT THE END POINT, THEY WILL  
7 HAVE TAKEN ALL OF THOSE THINGS INTO CONSIDERATION.

8 LIKE I SAID, THE BLUE RIDGE RESEARCH IS  
9 BEST PRACTICES, AND THAT DOES CONTAIN  
10 EQUITY-ORIENTED COMPONENTS WHICH ARE VERY IMPORTANT.  
11 OBVIOUSLY THAT IS PART OF OUR CENTRAL MISSION HERE  
12 AT CIRM. AND HONESTLY, PEOPLE DON'T TRUST US. WE  
13 HAVE TO DO BETTER. WE HAVE TO DO OUTREACH. WE HAVE  
14 TO BUILD UP CONFIDENCE WITH THEM, AND WE HAVE TO  
15 SHOW THEM THAT WE MEAN WHAT WE SAY. MARK.

16 DR. FISCHER-COLBRIE: THANKS. I HAVE  
17 SEVERAL QUESTIONS. IS THERE ANY COMMERCIAL SIZE  
18 LIMITATION IN THE CONTEXT THAT SOME OF THIS IS CELL  
19 AND GENE THERAPY THAT MIGHT ONLY BE GOING TO A  
20 HANDFUL OF PATIENTS? SO IS THERE A PATIENT  
21 ASSISTANCE PROGRAM REQUIRED FOR PROGRAMS WHERE IT'S  
22 COMMERCIAL, BUT IT'S NOT --

23 MR. AGUIRRE-SACASA: IT HAS TO TAKE THE  
24 SIZE OF THE COMPANY ITSELF. SO DEPENDING ON THE  
25 RESOURCES AND CHARACTERISTICS OF THE COMPANY, AS I

1 MENTIONED SMALLER BIOTECHS WOULD HAVE A DIFFERENT  
2 APPROACH AND WE WOULD BE REQUIRED TO TAKE THAT INTO  
3 CONSIDERATION. LARGER BIOTECHS, WE WOULD EXPECT  
4 MORE ROBUST PROGRAM AND THINGS LIKE THAT. IS THAT  
5 WHAT YOU WERE ASKING ABOUT?

6 DR. FISCHER-COLBRIE: YEAH. THAT'S PART  
7 OF IT.

8 THE OTHER ONE IS I WOULDN'T BE SURPRISED  
9 THAT MOST COMPANIES LOSE SIGHT OF THE FACT WITHIN  
10 TEN DAYS OF COMMERCIALIZATION THAT THEY HAVE TO HAVE  
11 A FORMAL REVIEW DONE BY CIRM. AND FROM THAT  
12 PERSPECTIVE, I WOULD ENCOURAGE US, AS PEOPLE GET TO  
13 NEAR THAT TIME HORIZON, THEY'RE GOING TO HAVE TO BE  
14 REMINDED BECAUSE THIS WILL JUST DROP OUT OF THEIR  
15 RADAR MAP IN ITS ENTIRETY.

16 MR. AGUIRRE-SACASA: WE DO ACTUALLY  
17 PROACTIVELY REACH OUT TO OUR AWARDEES THAT ARE  
18 APPROACHING BLA AS SOON AS WE FIND OUT OBVIOUSLY.  
19 AND WE HAVE A LETTER THAT WE SEND TO THEM, AND WE  
20 ENCOURAGE THEM TO ENGAGE WITH US SOONER RATHER THAN  
21 LATER.

22 OBVIOUSLY THE PROGRAMMATIC EFFORTS WILL  
23 FIX THAT MOVING FORWARD BECAUSE THAT IS TO DATE.  
24 MOVING FORWARD, THAT WILL ALLOW US TO GET IN THERE  
25 SOONER TO INFLUENCE THOSE DECISIONS.

1 MR. FISCHER-COLBRIE: AND THEN THERE'S A  
2 LOT OF DISCUSSION ABOUT DRUGS HERE, BUT LATER ON  
3 CELL AND GENE THERAPY IS INCLUDED. SO I IMAGINE  
4 DRUG IS MEANT TO RELATE TO CELL AND GENE THERAPY AS  
5 WELL.

6 MR. AGUIRRE-SACASA: THAT'S CORRECT.  
7 THAT'S CORRECT. I USED THE TERM "DRUG" BECAUSE  
8 THAT'S WHAT THE IP REGULATIONS FOCUS ON THERE.

9 MR. FISCHER-COLBRIE: YEAH. I JUST WANTED  
10 TO MAKE SURE BECAUSE THAT'S A DISTINCTION THAT MANY  
11 PEOPLE MIGHT THINK A DRUG IS SOMETHING DIFFERENT  
12 THAN A CELL AND GENE THERAPY APPROACH.

13 MR. AGUIRRE-SACASA: THANK YOU FOR THE  
14 CLARIFICATION. JOYCE.

15 DR. SACKKEY: THANK YOU FOR YOUR  
16 PRESENTATION. I'M STRUCK BY THAT 50-PERCENT GAP IN  
17 KNOWLEDGE OF PEOPLE WHO COULD BENEFIT FROM THESE  
18 RESOURCES NOT BEING AWARE OF IT. I ALSO KNOW THAT  
19 THERE'S A SIGNIFICANT NUMBER OF PATIENTS WHO QUALIFY  
20 FOR STUDIES, BUT ARE TYPICALLY UNAWARE OF IT.  
21 CLINICIANS MAY BE AWARE OF IT, BUT IT TAKES A LOT TO  
22 HAVE A 20-MINUTE VISIT AND SEE A PATIENT AND DO  
23 EVERYTHING AND SAY, BY THE WAY, THERE ARE THESE  
24 TRIALS THAT I THINK YOU QUALIFY FOR IT.

25 AND I WANT TO OFFER A TOOL, AN ASSISTANCE

1     THAT WE HAVE NOT TALKED ABOUT TODAY WHICH IS AI.   SO  
2     AT STANFORD WE ARE ACTUALLY UTILIZING AI TO HELP  
3     HAVE THE EPIC ELECTRONIC HEALTH RECORD PRESELECT  
4     PATIENTS WHO QUALIFY FOR ALL THE DIFFERENT TRIALS  
5     THAT ARE ONGOING.   SO THE ASSIGNMENT IS ACTUALLY  
6     BEING DONE BY AI, AND THAT ALLOWS THE PROVIDER THEN,  
7     RATHER THAN HAVE A CHECKLIST OF ALL THE STUDIES THAT  
8     THEY MIGHT QUALIFY FOR, TO BASICALLY HAVE -- YOUR  
9     PATIENT QUALIFIES FOR THE FOLLOWING TRIALS AND THEN  
10    MAKE THAT CONNECTION WHICH POTENTIALLY CAN TAKE SOME  
11    OF THE ADMINISTRATIVE BURDEN DOWN.

12               MY HOPE IS THAT SOME OF YOUR APPLICANTS  
13    ARE DEPLOYING TOOLS LIKE THAT THAT WILL ACCELERATE  
14    MEETING THAT 50-PERCENT GAP OF PEOPLE WHO ARE NOT  
15    AWARE OF THE STUDIES OR NOT AWARE OF THE FINANCIAL  
16    RESOURCES THAT ARE AVAILABLE TO THEM.

17               MR. AGUIRRE-SACASA:   I TOO AM INTERESTED  
18    TO SEE HOW AI IS GOING TO COME INTO PLAY.   I DON'T  
19    KNOW IF ANYONE CAN COMMENT ON WHETHER ANY OF OUR  
20    APPLICATIONS.

21               DR. MELMED:   IT'S A GREAT IDEA.   WE ALSO  
22    HAVE THAT PROGRAM.   THERE'S ONE IMPORTANT  
23    CONSIDERATION IS THAT THE DOCTOR, THE PRIMARY CARE  
24    PHYSICIAN, WHO ACTUALLY TAKES CARE OF THE PATIENT  
25    MAY RESENT IT IF BIG BROTHER COMES IN AND SAYS I'M

**BETH C. DRAIN, CA CSR NO. 7152**

1 TAKING YOUR PATIENT FOR A CLINICAL TRIAL. SO IT  
2 WILL BE VERY SENSITIVE TO THE DOCTOR OF RECORD.  
3 WE'VE LEARNED THAT THE HARD WAY, AND YOU CAN CORRECT  
4 THAT. BUT IT'S A GREAT, GREAT SUGGESTION, AND CIRM  
5 SHOULD LOOK INTO THAT FOR STEM CELL TRIALS. I THINK  
6 IT WOULD BE FANTASTIC. WE'RE DOING IT VERY  
7 SUCCESSFULLY FOR INTERNAL TRIALS.

8 MR. AGUIRRE-SACASA: I BELIEVE IN THE  
9 POWER AND THE OPPORTUNITY WITH AI AND HOPE THAT IT  
10 HELPS REACH OUR UNDERSERVED AND UNDERREPRESENTED  
11 COMMUNITIES. HONESTLY I THINK THAT'S ONE OF THE  
12 BEST THINGS ABOUT THE TECHNOLOGY. IT MIGHT BE ABLE  
13 TO HELP US. GOING ONCE.

14 CHAIRMAN IMBASCIANI: ANY COMMENT FROM  
15 ANYONE OUTSIDE THE ROOM? NO. OKAY. RAFAEL, THANK  
16 YOU VERY, VERY MUCH FOR YOUR PRESENTATION AND THE  
17 APPENDICES.

18 MOVING ON, AMY ADAMS IS GOING TO GIVE US A  
19 REPORT, THE HEAD OF OUR COMMUNICATIONS DEPARTMENT, A  
20 REPORT FROM THE COMMUNICATION SUBCOMMITTEE.

21 MS. ADAMS: THANK YOU, MEMBERS OF THE  
22 BOARD, MEMBERS OF THE CIRM TEAM, AND MEMBERS OF THE  
23 PUBLIC IN PERSON AND ON THE CALL. I'M HERE TO  
24 REPORT ON THE RECENT COMMUNICATIONS SUBCOMMITTEE  
25 MEETING AND TO DISCUSS A FEW PROJECTS THAT WE HAVE



1     STARTED BASED ON FEEDBACK FROM THE LAST BOARD  
2     MEETING. I'M GOING TO SAY FOR THE FIRST TIME IN MY  
3     ENTIRE LIFE I'M LOWERING THE MICROPHONE.

4             AT THE JUNE BOARD MEETING I TOLD YOU THAT  
5     I WANTED TO SAY FOR OUR NEW -- GOING OFF SCRIPT --  
6     FOR OUR NEW BOARD MEMBERS, JUNE WAS MY FIRST BOARD  
7     MEETING. I STARTED IN JUNE AND WITHIN DAYS WAS TOLD  
8     THAT I WAS PRESENTING TO THE BOARD. SO THAT WAS MY  
9     FIRST PRESENTATION, AND THIS IS AN EARLY UPDATE FROM  
10    THAT.

11            AT THE JUNE BOARD MEETING, I TOLD YOU THAT  
12    IF CIRM IS GOING TO BE SUCCESSFUL IN TELLING OUR  
13    STORY, WE NEED TO KNOW WHAT THAT STORY IS.

14    ESSENTIALLY WE ALL NEED TO BE SINGING THE SAME SONG  
15    ABOUT CIRM. AND BY WE, I MEAN ALL THE PEOPLE WHO  
16    MIGHT TALK ABOUT CIRM, OFFICIAL CIRM COMMUNICATIONS,  
17    MEMBERS OF THE TEAM TALKING WITH FAMILY AND FRIENDS,  
18    OUR SCIENCE TEAMS SPEAKING AT CONFERENCES, ALL OF  
19    YOU TALKING TO FAMILY, FRIENDS, AND COLLEAGUES,  
20    SCIENTISTS TALKING TO THEIR FAMILY AND FRIENDS.

21            OF COURSE, ANY SONG HAS MANY VERSIONS.  
22    THERE'S THE STUDIO RECORDING, THE DANCE REMIX, THE  
23    MUZAK VERSION FOR THE ELEVATOR. THE VERSIONS ARE  
24    APPROPRIATE FOR DIFFERENT SITUATIONS OR AUDIENCES,  
25    BUT ARE RECOGNIZABLY THE SAME. SIMILARLY, WE MIGHT

1 ALL USE DIFFERENT LANGUAGE WHEN TELLING CIRM'S STORY  
2 TO DIFFERENT AUDIENCES. BUT ALL THE VERSIONS OF  
3 CIRM'S STORY SHOULD BE DESCRIBING THE SAME  
4 ORGANIZATION.

5 TWO BIG QUESTIONS FOR MY TEAM BEYOND  
6 DEFINING THAT STORY, WHICH I'LL GET TO, IS  
7 UNDERSTANDING WHO WE ARE TELLING THE STORY TO AND  
8 WHY. I KNOW THERE'S A GENERAL CONSENSUS THAT WE  
9 SHOULD BE COMMUNICATING MORE BROADLY. BUT UNLESS WE  
10 KNOW WHY AND TO WHOM, WE CAN'T MEASURE OUR SUCCESS.

11 MY TEAM CAME UP WITH FOUR PRIMARY  
12 AUDIENCES, AND THOSE ARE THE CALIFORNIA PUBLIC,  
13 PATIENTS AND PATIENT ADVOCATES, SCIENTISTS, AND  
14 LEGISLATORS. OBVIOUSLY THE CALIFORNIA PUBLIC IS  
15 VERY BROAD AND INCLUDES EACH OF THE OTHER AUDIENCES.  
16 REALISTICALLY WE'RE NOT GOING TO REACH ALL 40  
17 MILLION PEOPLE IN THE STATE. WHERE WE CAN BE MORE  
18 NARROW WE WILL BECAUSE WE ALL KNOW THAT THE PEOPLE  
19 OF CALIFORNIA ARE NOT A MONOLITH. BUT MANY OF THE  
20 WAYS WE GET OUR STORIES OUT, INCLUDING SOCIAL MEDIA,  
21 NEWSLETTERS, THE WEBSITE, DON'T PROVIDE GEOGRAPHIC  
22 OR AUDIENCE RESOLUTION. FOR MANY CHANNELS WE DO  
23 HAVE TO CONSIDER THE CALIFORNIA PUBLIC AS A WHOLE.

24 ALSO THERE ARE MANY AUDIENCES WHO WE THINK  
25 ABOUT BUT AREN'T LISTED HERE. STUDENTS ARE A GREAT

1     EXAMPLE.  WE THINK THOSE NEST WITH THESE FOUR FOR  
2     MOST PURPOSES.

3             MY TEAM ALSO DISCUSSED WHAT WE WANT FROM  
4     THESE AUDIENCES BECAUSE WE CAN'T MEASURE THE SUCCESS  
5     OF OUR COMMUNICATIONS IF WE DON'T KNOW WHAT WE ARE  
6     TRYING TO ACHIEVE.  THESE OUTCOMES INCLUDE THINGS  
7     LIKE PATIENTS TRUSTING US AND PARTICIPATING IN OUR  
8     CLINICAL TRIALS, LEGISLATORS SUPPORTING OUR  
9     RECOMMENDATIONS AROUND ACCESS AND AFFORDABILITY, AND  
10    THE PUBLIC SUPPORTING GOVERNMENT FUNDING OF  
11    RESEARCH.  A LIST OF THOSE OUTCOMES REQUIRES  
12    STIRRING THE RIGHT EMOTIONS BECAUSE WE ALL KNOW THAT  
13    MOST PEOPLE, WITH THE OBVIOUS EXCEPTION OF THE  
14    PEOPLE IN THIS ROOM, DON'T NECESSARILY TAKE ACTION  
15    BASED ON FACTS.  THEY TAKE ACTIONS BASED ON HOW THEY  
16    FEEL.

17            GETTING PEOPLE TO TAKE ACTION ON CLIMATE  
18    CHANGE OR GET VACCINES ARE TWO GREAT EXAMPLES OF HOW  
19    THE WAY PEOPLE FEEL RATHER THAN FACTS DRIVES HOW  
20    PEOPLE BEHAVE.

21            WE'RE STARTING TO FEATURE PROFILES OF OUR  
22    BOARD MEMBERS AND TEAM AS A WAY OF SHOWING THE  
23    PEOPLE -- I'M OUT OF SEQUENCE HERE BECAUSE WE ARE  
24    DOING THAT.  WE ARE DOING THAT, BUT WE ARE NOT DOING  
25    THAT YET IN THIS TALK.  MAYBE WE ARE.

1 WE'RE STARTING TO FEATURE PROFILES OF OUR  
2 BOARD MEMBERS AND OUR TEAM AS A WAY OF SHOWING THE  
3 PEOPLE AND THE PASSION BEHIND THE INSTITUTION.  
4 THESE STORIES ARE ONE WAY OF BUILDING TRUST. HERE  
5 WE GO. THOSE ARE EMOTIONS. THAT'S WHAT I WAS  
6 SUPPOSED TO CLICK ON. I'M GOING TO DO A LITTLE  
7 ASIDE HERE.

8 AT THE SUBCOMMITTEE MEETING, I SHOWED  
9 SOMETHING LIKE THIS, BUT IT LACKED THE WORD "AWE."  
10 AND IT WAS YSABEL WHO SUGGESTED THAT, AND I ADDED IT  
11 BECAUSE I THOUGHT IT WAS A REALLY NICE ADDITION.  
12 THIS ISN'T QUITE THE WORD CLOUD YOU ASKED FOR,  
13 YSABEL, BUT IT IS SOMETHING. IT'S GETTING THERE.  
14 THANK YOU.

15 TYING THIS BACK TO THE EMOTIONS WE WANT TO  
16 ELICIT, THESE PROFILES ALSO CONVEY EXCITEMENT,  
17 PRIDE, AND PERHAPS OTHER EMOTIONS DEPENDING ON THE  
18 PERSON BEING PROFILED. IN ADDITION, WE'VE ENGAGED  
19 WITH AN AGENCY CALLED VALVE SPRING, WHICH SOME OF  
20 YOU MIGHT KNOW FROM PREVIOUS WORK WITH CIRM.  
21 THEY'RE HELPING US REFINE HOW WE TALK ABOUT THE  
22 AGENCY, OUR MISSION, AND OUR PRIORITIES. THEY'LL  
23 PLAY A KEY ROLE IN HELPING US DEFINE OUR SONG AS IT  
24 WERE, AND THAT WORK WILL FEED INTO ADDITIONAL  
25 STORYTELLING.

**BETH C. DRAIN, CA CSR NO. 7152**

1 WE'VE ALSO STARTED RESEARCHING VENDORS WHO  
2 CAN HELP US LEARN WHAT THE PEOPLE IN CALIFORNIA ARE  
3 INTERESTED IN HEARING ABOUT. AND THAT'S SOMETHING  
4 THAT MEMBERS OF THIS BOARD HAVE REALLY ENCOURAGED.  
5 I'LL BE ABLE TO SAY MORE ABOUT THAT WORK AT A FUTURE  
6 BOARD MEETING.

7 THERE WE GO. FIRST I'M GOING TO TALK  
8 ABOUT THE STORYTELLING, WHICH CAN LEAD TO THESE  
9 EMOTIONS. WHEN I TALK ABOUT STORIES, I MEAN A RANGE  
10 OF DIFFERENT KINDS OF STORIES. WE WRITE PRESS  
11 RELEASES, BLOG ENTRIES, WE PRODUCE VIDEOS, HOLD  
12 WEBINARS, GIVE PRESENTATIONS. ALL OF THOSE INVOLVE  
13 STORYTELLING. WE HAVE SOME PROJECTS IN PROGRESS  
14 ALREADY TO HELP US WITH THIS WORK.

15 FIRST, WE'VE HIRED A PART-TIME WRITING  
16 CONTRACTOR TO HELP US WITH WRITTEN STORIES, AND  
17 WE'VE STARTED SOME NEW FORMS OF STORYTELLING. OUR  
18 CONTRACT WRITER HOLLY MACCORMICK HAS ALREADY STARTED  
19 WORKING ON SOME PROFILES OF BOARD MEMBERS -- THIS IS  
20 THE BULLET POINT I WAS LOOKING FOR EARLIER -- THE  
21 FIRST OF WHICH PUBLISHED RECENTLY BECAUSE I WANTED  
22 TO BRAG ABOUT THIS. THE FIRST ONE WAS WITH KIM  
23 BARRETT. IT WAS REALLY WELL RECEIVED BOTH ON OUR  
24 BLOG AND THROUGH SOCIAL MEDIA. THERE ARE MANY MORE  
25 COMING. WE'VE ALREADY DONE A FEW ADDITIONAL

1 INTERVIEWS, AND WE'RE HOPING TO GET TO ALL BOARD  
2 MEMBERS BECAUSE WE THINK THESE PROFILES OF THE BOARD  
3 MEMBERS AND OF THE TEAM WILL HELP SHOW THE PEOPLE  
4 AND THE PASSION BEHIND WHAT WE'RE TRYING TO ACHIEVE.

5 AS SOMEONE MENTIONED AT THE JUNE BOARD  
6 MEETING, THE STORIES ARE ONLY AS GOOD AS THE  
7 DELIVERY MECHANISM. WE'RE MAKING SURE THESE  
8 CAREFULLY CRAFTED STORIES MAKE IT INTO THE EMAIL,  
9 SOCIAL MEDIA CHANNELS, WEBSITE, PRESENTATIONS,  
10 FLIERS, OR ONE-ON-ONE CONVERSATIONS WHERE THEY'RE  
11 MOST LIKELY TO REACH THE INTENDED AUDIENCE.

12 ON THIS FRONT WE'RE WORKING ON HIRING A PR  
13 FIRM TO HELP US PLACE STORIES AND OP-EDS IN MEDIA  
14 OUTLETS BECAUSE TRADITIONAL MEDIA REMAINS AN  
15 EXCELLENT WAY OF REACHING OUR AUDIENCES. AS PART OF  
16 EVALUATING PR AGENCIES, WE ARE LOOKING AT WHETHER  
17 THOSE AGENCIES CAN HELP US WITH COMMUNITY-BASED,  
18 MULTICULTURAL, AND MULTILANGUAGE PUBLICATIONS IN  
19 ADDITION TO LARGER OUTLETS. I'LL BE ABLE TO TALK  
20 ABOUT THE OUTCOME OF THAT PROCESS AT A FUTURE BOARD  
21 MEETING.

22 ANOTHER GREAT CHANNEL FOR REACHING MANY  
23 AUDIENCES IS ALL OF YOU. AS SEVERAL OF YOU BROUGHT  
24 UP AT THE LAST MEETING, YOU ARE ALL EMBEDDED IN  
25 COMMUNITIES THAT NEED TO KNOW ABOUT CIRM. WE'LL BE

**BETH C. DRAIN, CA CSR NO. 7152**

1 REACHING OUT TO THIS GROUP TO FIND OPPORTUNITIES FOR  
2 YOU TO TELL CIRM'S STORY.

3 I ALSO WELCOME FEEDBACK FROM ANY OF YOU IF  
4 YOU KNOW ABOUT EVENTS YOU WANT TO ATTEND. WE CAN  
5 HELP ARM YOU WITH PRINTED MATERIALS AND POWERPOINTS  
6 TO HELP YOU TALK ABOUT CIRM.

7 ONE BOARD MEMBER IS ALREADY HELPING TELL  
8 OUR STORY, AND THAT'S OUR CHAIR. MR. CHAIRMAN,  
9 VITO, JOINED ADITI DESAI ON MY TEAM WHO OVERSEES OUR  
10 COMMUNITY OUTREACH EFFORTS AT A ROTARY CLUB  
11 PRESENTATION RECENTLY. GOING BACK TO THE EMOTIONS  
12 WE'RE HOPING TO ELICIT, HAVING THOSE TWO PRESENT TO  
13 THAT AUDIENCE ON TRUST, CONFIDENCE, AND ALSO PRIDE  
14 BECAUSE THEY TALKED ABOUT THE WAY CIRM IS BENEFITING  
15 CALIFORNIA.

16 AS PART OF OUR INCREASED FOCUS ON  
17 STORYTELLING, I WANT TO SHARE A FEW RECENT STORIES.  
18 AS I MENTIONED, WE'RE STARTING TO PROFILE BOARD  
19 MEMBERS. THE IMAGE ON THE RIGHT IS TAKEN FROM A  
20 PROFILE OF KIM BARRETT. AND I WANT TO SAY THIS  
21 PHOTO TIES INTO VITO'S PRESENTATION WHERE HE TALKED  
22 ABOUT GOING UP AND GETTING A TOUR WITH DR. BARRETT  
23 AND MEETING SOME OF THE RESEARCHERS WORKING ON SPINA  
24 BIFIDA. AND THIS PHOTO SHOWS THOSE FOUR PEOPLE. IN  
25 THAT PROFILE SHE TELLS A REALLY MOVING STORY ABOUT

1 THE IMPORTANCE OF THAT RESEARCH.

2 IN ADDITION, A PATIENT ADVOCATE SPEAKER AT  
3 THE RECENT TRAINEE CONFERENCE WAS A BIG HIT ON OUR  
4 BLOG AND SOCIAL CHANNELS, AND HE'S FEATURED OVER ON  
5 THE LEFT. THAT'S JEFFERY RANDALL ALLEN WHO WON A  
6 REALITY SHOW THAT I'M SURE YOU'RE ALL AWARE OF  
7 CALLED "THE BEAST GAMES." I WAS NOT AWARE, BUT IT  
8 IS A BIG DEAL. HE CONTRIBUTED HIS WINNINGS TO  
9 RESEARCH INTO A RARE DISEASE THAT HIS YOUNGER SON  
10 HAS. HIS HIGH PROFILE AND EXTREMELY HIGH SOCIAL  
11 MEDIA FOLLOWING HELPED AMPLIFY OUR STORY ABOUT THE  
12 NEED TO FOCUS ON FINDING CURES FOR RARE DISEASES AND  
13 HELPING KIDS.

14 WE ALSO PRODUCED A WELL-RECEIVED VIDEO  
15 FROM THE SAME CONFERENCE. AND THAT'S SORT OF ON THE  
16 LOWER CENTER AND FEATURED A PROFILE OF COMPASS  
17 SCHOLAR MAYA SINGH WHO HAS SINCE GRADUATED AND IS IN  
18 GRADUATE SCHOOL.

19 AT FUTURE BOARD MEETINGS I LOOK FORWARD TO  
20 SHARING OUR PROGRESS AND TO SHARING INFORMATION  
21 ABOUT OUR ONGOING STORYTELLING. I AM, OF COURSE,  
22 WILLING TO TAKE ANY QUESTIONS, BUT I'M INTERESTED IN  
23 HEARING YOUR THOUGHTS ON WHAT WE MIGHT BE MISSING AS  
24 WE THINK ABOUT OUR STORY AND OUR AUDIENCES. AND  
25 I'LL TAKE QUESTIONS.



1 DR. FISCHER-COLBRIE: GREAT. REALLY HAPPY  
2 ABOUT THE WORK AND THE PROGRESS. SO KUDOS. AND  
3 SUPER CURIOUS WITH THE CONTINUED SHIFT TO INSTAGRAM  
4 AND TIKTOK AND TWITTER WHERE ALMOST NOBODY IS  
5 READING ANYTHING ANYMORE. WHEN WE TALK ABOUT  
6 PRODUCING VIDEOS, ARE WE DISTRIBUTING THROUGH  
7 MECHANISMS? I SEE GREAT THINGS ON LINKEDIN AND  
8 OTHER AREAS, BUT I'M KIND OF CURIOUS WHAT OUR  
9 THOUGHTS ARE AROUND THOSE ELEMENTS BECAUSE THEY'RE  
10 SO CRITICAL.

11 MS. ADAMS: THEY'RE ABSOLUTELY CRITICAL.  
12 THEY'RE CRITICAL, THEY'RE FRAGMENTED, AND THEY'RE  
13 CHANGING ALL THE TIME. SO IT'S AN EXCITING TIME OUT  
14 THERE.

15 SO PERIODICALLY CHRISTINA SMITH ON MY  
16 TEAM, WHO MANAGES OUR DIGITAL CHANNELS, SHE'LL COME  
17 AND GIVE PRESENTATIONS. AND SHE CAN GIVE A LOT MORE  
18 DETAIL ON THIS. BUT THE SHORT ANSWER IS, YES, WE'RE  
19 ON ALL OF THOSE CHANNELS AND CONSTANTLY EVALUATING  
20 WHICH OF THOSE CHANNELS, WHICH CONTENT SEEMS TO BE  
21 DOING WELL ON WHICH CHANNEL, WHO WE SEEM TO BE  
22 REACHING ON WHICH CONTENT. AND TO THE BEST OF OUR  
23 ABILITY, AND THIS IS HARD, BUT FIGURING WHO'S  
24 READING WHICH CHANNEL AND MAKING SURE THE RIGHT  
25 CONTENT IS GOING TO THAT CHANNEL. YEAH, IT'S

1 CRITICAL.

2 MS. DURON: AMY, WONDERFUL. I LIKE THE  
3 FEEL OF THIS WHICH I THINK IS REALLY CRITICAL. WHAT  
4 I WANT TO SEE MORE OF IS THAT YOU'RE ACTUALLY  
5 REACHING THROUGH MULTILINGUAL ORGANIZATIONS AND  
6 MEDIA TO SHOW THAT, THAT WE ARE FINALLY BREAKING  
7 THROUGH THAT LANGUAGE BARRIER AND BEING ABLE TO  
8 IDENTIFY FOR OUR -- CALIFORNIA IS A MINORITY/  
9 MAJORITY STATE WHEN YOU ADD UP OUR DIFFERENT  
10 DEMOGRAPHICS. AND I'M REALLY EXCITED TO SEE THAT  
11 OUR EXCITEMENT, OUR AWE IS BEING CARRIED OVER INTO  
12 THOSE COMMUNITIES SO THAT THEY BEGIN TO UNDERSTAND  
13 BOTH THE OBJECTIVES OF STEM CELL RESEARCH AND ALSO  
14 HOW IT IMPACTS THEIR OWN LIVES, HOW THEY'VE  
15 PRESENTED WITH SOMEONE WHOM IT'S IMPACTED, A FAMILY  
16 MEMBER, ET CETERA, ET CETERA. SO I LOOK FORWARD TO  
17 THAT PROGRESS.

18 MS. ADAMS: I VERY MUCH LOOK FORWARD TO  
19 TALKING WITH YOU ABOUT THE PR AGENCY WHEN WE GET  
20 THEM HIRED BECAUSE THAT'S A CRITERIA WE ARE USING.  
21 WE'RE THINKING DEFINITELY SOME OF OUR STUDENTS MAKE  
22 GREAT STORIES. SOME OF OUR PATIENTS AND PATIENT  
23 ADVOCATES MAKE FANTASTIC STORIES IN THOSE  
24 COMMUNITIES, AND WE COULD REALLY USE HELP BREAKING  
25 THROUGH. SO I LOOK FORWARD TO TALKING WITH YOU MORE

1 ABOUT THAT.

2 CHAIRMAN IMBASCIANI: ANY QUESTIONS OR  
3 COMMENTS FOR AMY? OR ON ZOOM? NO?

4 MS. ADAMS: THANK YOU VERY MUCH.

5 CHAIRMAN IMBASCIANI: AMY, THANK YOU.

6 OKAY. THE OPPORTUNITY FOR MEMBERS OF THE  
7 PUBLIC EITHER IN THE ROOM OR CONNECTED BY THE  
8 INTERNET TO MAKE ANY COMMENTS ON THE PROCESS OF OUR  
9 APPLICATION REVIEW. IF YOU CAN RAISE YOUR HAND, YOU  
10 WILL BE RECOGNIZED. AND, CLAUDETTE, WILL YOU  
11 MONITOR ALL OF THIS? THERE IS A DR. TOSCANO, I  
12 PRESUME.

13 MS. MANDAC: YES. WE DO HAVE ONE MEMBER  
14 ON ZOOM FOR PUBLIC COMMENT. DR. TOSCANO, YOU WILL  
15 HAVE THREE MINUTES. THERE WILL BE A TIMER THAT  
16 YOU'LL BE ABLE TO SEE ON THE TOP RIGHT-HAND CORNER  
17 OF YOUR ZOOM. WE WILL MUTE YOU AS SOON AS THE THREE  
18 MINUTES ARE UP. SO IF YOU COULD WATCH CAREFULLY.  
19 THE CLOCK STARTS NOW.

20 DR. TOSCANO: THANK YOU FOR THE  
21 OPPORTUNITY TO SPEAK. I'M A PRINCIPAL INVESTIGATOR  
22 ON DISC-0 PROPOSAL 17579 THAT WE SUBMITTED LAST  
23 FALL. AND THAT PROPOSAL GOT AN EXCELLENT SCORE TWO  
24 POINTS AWAY FROM FUNDING. SOME OF THE REVIEWS  
25 SUGGESTED IT WAS POTENTIALLY TRANSFORMATIVE FOR

1 CELLULAR THERAPY THAT WOULD APPLY TO MANY, MANY  
2 DIFFERENT DISEASE STATES. WE'RE VERY EXCITED ABOUT  
3 THAT, EXCELLENT REVIEWS. WE INVESTED QUITE BIT OF  
4 TIME ADDRESSING ALL OF THE CRITICISMS VERY, VERY  
5 THOROUGHLY. WE HAD TO WAIT AN ENTIRE YEAR TO  
6 RESUBMIT THE PROPOSAL, RESUBMITTED IT, ENSURED  
7 EVERYTHING WAS ADDRESSED. AND THEN REVIEWS THAT WE  
8 GOT BACK DID NOT AT ALL ADDRESS THE CRITICISMS, DID  
9 NOT COMMENT ON ALL OF THE WORK TO ADDRESS THOSE  
10 CRITICISMS. IT, IN FACT, BROUGHT UP THINGS THAT  
11 WERE NOT EVEN RELEVANT TO THE PROPOSAL, SUGGESTING  
12 THAT THEY DIDN'T EVEN READ THE PROPOSAL.

13 SO I'M VERY CONCERNED NOT ONLY FOR THIS  
14 PROPOSAL, BUT FOR OTHER PROPOSALS THAT REALLY DON'T  
15 GET EVEN CLOSE TO ADEQUATE REVIEW. I'M VERY, VERY  
16 CONCERNED ABOUT THAT. AND I HEARD SOME COMMENTS  
17 REGARDING TRUST IN THE PROGRAM. I DON'T KNOW IF --  
18 HOW YOU CAN ESTABLISH TRUST UNLESS THERE'S EQUITABLE  
19 AND FAIR REVIEWS OF THE PROPOSALS, ESPECIALLY  
20 PROPOSALS THAT WERE THOUGHT TO BE POTENTIALLY  
21 TRANSFORMATIVE.

22 SO I'M VERY, VERY CONCERNED ABOUT THIS.  
23 AND THERE'S NO OPPORTUNITY TO RESUBMIT BECAUSE THE  
24 DISC-0 WILL BE ELIMINATED. SO I DON'T KNOW WHAT TO  
25 DO FROM HERE, BUT IT'S VERY, VERY DISCOURAGING. AND

**BETH C. DRAIN, CA CSR NO. 7152**

1 I THINK THAT THE CIRM BOARD AND THE PUBLIC NEEDS TO  
2 UNDERSTAND THIS. I'LL STOP THERE.

3 CHAIRMAN IMBASCIANI: THANK YOU FOR YOUR  
4 COMMENTS, DR. TOSCANO.

5 THIS IS THE OPPORTUNITY FOR MEMBERS OF THE  
6 PUBLIC TO MAKE ANY COMMENTS OR RAISE ANY QUESTIONS  
7 FOR FUTURE DISCUSSION ON ITEMS THAT WERE NOT ON  
8 TODAY'S AGENDA. HEARING NONE, SO WE HAVE COME TO  
9 THE END OF THE AGENDA. THE MEETING IS ABOUT TO BE  
10 CLOSED. I INVITE YOU ALL TO JOIN US AGAIN FOR THE  
11 NEXT MEETING OF THE ICOC WHICH WILL BE BY VIRTUAL  
12 MEETING ON THURSDAY, DECEMBER 11, STARTING AT 9  
13 O'CLOCK IN THE MORNING. SO THANK YOU, EVERYONE, FOR  
14 YOUR ATTENDANCE.

15 (THE MEETING WAS THEN ADJOURNED AT 3:09 P.M.)  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE AND THE APPLICATION REVIEW SUBCOMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD ON SEPTEMBER 25, 2025, WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CA CSR 7152  
133 HENNA COURT  
SANDPOINT, IDAHO  
(208) 920-3543