

BETH C. DRAIN, CA CSR NO. 7152

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: MARRIOTT WATERFRONT HOTEL
BAYSIDE II & III
1800 OLD BAYSHORE HIGHWAY
BURLINGAME, CALIFORNIA

DATE: JANUARY 29, 2026
9 A.M.

REPORTER: BETH C. DRAIN, CA CSR
CSR. NO. 7152

FILE NO.: 2026-4

BETH C. DRAIN, CA CSR NO. 7152

I N D E X

	ITEM DESCRIPTION	PAGE NO.
	OPEN SESSION	
1	1. CALL TO ORDER	4
2	2. ROLL CALL	4
3	3. CONSIDERATION OF RECOMMENDATION REGARDING SALARY FOR THE VICE-CHAIR OF THE ICOC	8
4	4. CONSIDERATION OF RECOMMENDATION REGARDING PERCENT EFFORT AND SALARY FOR THE CHAIR OF THE ICOC	11
5	CLOSED SESSION	7
6	5. DISCUSSION OF PERSONNEL RELATED TO ITEMS 3 AND 4 (HEALTH & SAFETY CODE SECTION 125290.30(F) (3) (D)).	
7	OPEN SESSION	
8	6. DISCUSSION REGARDING THE PROCESS FOR PREFERENCE SETTING	16
9	7. CLOSER TO CURES	NOT REPORTED
10	8. CHAIR'S REPORT	NONE
11	9. VICE-CHAIR'S REPORT	161
12	10. PRESIDENT'S REPORT	166
13	CONSENT CALENDAR	NOT HEARD
14	11. CONSIDERATION OF MINUTES FROM THE DECEMBER 11, 2025, ICOC/ARS MEETING	
15	12. CONSIDERATION OF THE RARE-DISEASE ACCELERATION THROUGH PLATFORM INNOVATION AND DELIVERY (RAPID) CONCEPT PLAN	89

BETH C. DRAIN, CA CSR NO. 7152

1
2 I N D E X (CONT'D.)
3

13.	CONSIDERATION OF THE REIMBURSEMENT RATE FOR AWARDEES	120
14.	CONSIDERATION OF FEDERAL LEGISLATION ENDORSEMENT	PULLED
15.	DISCUSSION REGARDING THE FY '23-'24 FINANCIAL AUDIT REPORT	130
16.	UPDATE FROM COMMUNICATIONS	137
17.	PUBLIC COMMENT	NONE
18.	ADJOURNMENT	179

12
13
14
15
16
17
18
19
20
21
22
23
24
25

BETH C. DRAIN, CA CSR NO. 7152

JANUARY 29, 2026; 9 A.M.

3 CHAIRMAN IMBASCIANI: GREAT. NOW I CAN
4 CALL THIS MEETING OF THE INDEPENDENT CITIZENS'
5 OVERSIGHT COMMITTEE OF THE CIRM BOARD TO ORDER HERE
6 IN BURLINGAME, CALIFORNIA. MEETING IS CALLED TO
7 ORDER. WE'RE GOING TO START WITH THE PLEDGE OF
8 ALLEGIANCE. IF YOU ARE ABLE, PLEASE STAND, PUT YOUR
9 RIGHT HAND ON YOUR HEART, AND FACE THE FLAG. SCOTT,
10 WOULD YOU LEAD US? THANK YOU.

(THE PLEDGE OF ALLEGIANCE.)

12 CHAIRMAN IMBASCIANI: THANK YOU. SCOTT,
13 WE NEED TO START WITH THE TAKING OF THE ROLL.

14 MR. TOCHER: THANK YOU. EYAD ALMASRI.
15 KIM BARRETT.

16 DR. BARRETT: PRESENT.

17 MR. TOCHER: DAN BERNAL. GEORGE
18 BLUMENTHAL.

19 DR. BLUMENTHAL: HERE.

20 MR. TOCHER: MARIA BONNEVILLE.

21 VICE CHAIR BONNEVILLE: PRESENT.

22 | MR. TOCHER: LINDA BOXER. JOHN

23 DR. CARETHERS: PRESENT.

24 MR. TOCHER: MARGUERITE

25 MS. CASILLAS: PRESENT.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. TOCHER: JUDY CHOU.
2 DR. CHOU: PRESENT.
3 MR. TOCHER: LEONDRA CLARK-HARVEY.
4 DR. CLARK-HARVEY: PRESENT.
5 MR. TOCHER: SHANNON DAHL.
6 DR. DAHL: PRESENT.
7 MR. TOCHER: DEBORAH DEAS. ANNE-MARIE
8 DULIEGE.
9 DR. DULIEGE: PRESENT.
10 MR. TOCHER: YSABEL DURON.
11 MS. DURON: HERE.
12 MR. TOCHER: MARK FISCHER-COLBRIE.
13 DR. FISCHER-COLBRIE: HERE.
14 MR. TOCHER: ELENA FLOWERS.
15 DR. FLOWERS: PRESENT.
16 MR. TOCHER: JUDY GASSON.
17 DR. GASSON: HERE.
18 MR. TOCHER: JEFF GOLDEN FOR SHLOMO
19 MELMED.
20 DR. GOLDEN: PRESENT.
21 MR. TOCHER: VITO IMBASCIANI.
22 CHAIRMAN IMBASCIANI: PRESENT.
23 MR. TOCHER: RICH LAJARA. PAT LEVITT.
24 DR. LEVITT: HERE.
25 MR. TOCHER: HALA MADENAT.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. MADENAT: HERE.
2 MR. TOCHER: LINDA MALKAS.
3 DR. MALKAS: HERE.
4 MR. TOCHER: CAROLYN MELTZER.
5 DR. MELTZER: PRESENT.
6 MR. TOCHER: CHRISTINE MIASKOWSKI.
7 DR. MIASKOWSKI: PRESENT.
8 MR. TOCHER: ADRIANA PADILLA.
9 DR. PADILLA: HERE.
10 MR. TOCHER: JOE PANETTA.
11 MR. PANETTA: HERE.
12 MR. TOCHER: MARVIN SOUTHARD.
13 DR. SOUTHARD: HERE.
14 MR. TOCHER: SHAUNA STARK.
15 DR. STARK: HERE.
16 MR. TOCHER: KAROL WATSON. YAEL WYTE.
17 DR. WYTE: HERE.
18 MR. TOCHER: KEVIN XU. KEITH YAMAMOTO.
19 DR. YAMAMOTO: HERE.
20 MR. TOCHER: THANK YOU VERY MUCH, MR.
21 CHAIR. WE HAVE A QUORUM.
22 CHAIRMAN IMBASCIANI: GREAT. THANK YOU.
23 WE WILL PROCEED TO ITEMS 3 AND 4 TAKEN TOGETHER,
24 WHICH ARE DISCUSSIONS OF PERSONNEL ITEMS RELATED TO
25 THE CHAIR AND THE VICE CHAIR. AND FOR THIS I WILL

BETH C. DRAIN, CA CSR NO. 7152

1 HAND OVER THIS PART OF THE MEETING TO THE CHAIRS OF
2 THE GOVERNANCE SUBCOMMITTEE, JUDY GASSON AND PAT
3 LEVITT.

4 DR. GASSON: THANK YOU VERY MUCH, VITO.

5 AT THIS POINT IN TIME, WE WOULD -- SCOTT, WOULD YOU
6 PLEASE READ THE LANGUAGE THAT TAKES US INTO CLOSED
7 SESSION.

8 MR. TOCHER: YES. SO FOR THESE TWO AGENDA
9 ITEMS, THE BOARD WILL BE ADJOURNING TO CLOSED
10 SESSION FOR A DISCUSSION OF PERSONNEL RELATED TO
11 ITEMS 3 AND 4 OF THIS AGENDA PURSUANT TO HEALTH AND
12 SAFETY CODE 125290.30(F)(3)(D).

13 SO FOR THOSE BOARD MEMBERS ON THE ZOOM,
14 YOU SHOULD SEE A CUE ON YOUR SCREEN TO JOIN THE
15 BREAKOUT ROOM. PLEASE CLICK JOIN, AND WE WILL SEE
16 YOU ALL THERE MOMENTARILY. AND FOR THOSE IN THE
17 ROOM, EVERYONE WILL CLEAR OUT EXCEPT FOR RAPHAEL AND
18 MYSELF.

19 DR. GASSON: AND MARIA BONNEVILLE IS
20 EXCUSED FOR THIS PART OF THE MEETING. THANK YOU.

21 (THE BOARD THEN WENT INTO CLOSED SESSION,
22 NOT REPORTED NOR HEREIN TRANSCRIBED. AT THE
23 CONCLUSION, THE BOARD THEN MET IN OPEN SESSION AND
24 WAS THEN HEARD AS FOLLOWS.)

25 MR. TOCHER: YOU'RE GOOD TO GO.

BETH C. DRAIN, CA CSR NO. 7152

1 CHAIRMAN IMBASCIANI: THANK YOU. THANKS,
2 SCOTT. WE ARE NOW ON AGENDA ITEM NO. 3, WHICH IS AN
3 OPEN SESSION CONSIDERATION REGARDING THE FIRST ITEM
4 WHICH INVOLVES THE VICE CHAIR.

5 MR. TOCHER: AND JUDY HAS AN ANNOUNCEMENT
6 TO MAKE.

7 CHAIRMAN IMBASCIANI: OKAY. AND I WAS
8 JUST ABOUT TO PASS THE GAVEL, METAPHORICALLY, TO
9 JUDY.

10 DR. GASSON: THANK YOU, VITO. AND I WANT
11 TO REPORT THAT NO ACTION WAS TAKEN IN THE CLOSED
12 SESSION.

13 CHAIRMAN IMBASCIANI: THANK YOU, JUDY.
14 CONTINUE.

15 DR. GASSON: THANK YOU VERY MUCH. WE'RE
16 NOW CONSIDERING AND I WILL NOW ENTERTAIN A MOTION TO
17 APPROVE THE 3-PERCENT MERIT INCREASE FOR THE VICE
18 CHAIR. I'D LIKE TO REQUEST A MOTION PLEASE.

19 DR. BARRETT: SO MOVED.

20 DR. YAMAMOTO: SECOND.

21 DR. GASSON: AND IS THERE ANY DEBATE ON
22 THIS MATTER?

23 KIM, DID YOU RAISE YOUR HAND?

24 MR. TOCHER: NO. SORRY. I WAS SPEAKING
25 AS TO WHO MADE THE MOTION. MAY I JUST CLARIFY FOR

BETH C. DRAIN, CA CSR NO. 7152

1 THE MAKER AND SECOND OF THE MOTION, THAT THIS IS 3
2 PERCENT RETROACTIVE TO JULY 1ST, 2025.

3 DR. BARRETT: PRECISELY.

4 DR. GASSON: THANK YOU. OKAY. HEARING NO
5 FURTHER DISCUSSION, MAY WE TAKE THE ROLL CALL
6 PLEASE.

7 MR. TOCHER: ONE MOMENT TO CHECK IF THERE
8 IS PUBLIC COMMENT. DOESN'T APPEAR SO.

9 KIM BARRETT.

10 DR. BARRETT: AYE.

11 MR. TOCHER: GEORGE BLUMENTHAL.

12 DR. BLUMENTHAL: YES.

13 MR. TOCHER: JOHN CARETHERS.

14 DR. CARETHERS: YES.

15 MR. TOCHER: MARGUERITE CASILLAS.

16 MS. CASILLAS: YES.

17 MR. TOCHER: JUDY CHOU.

18 DR. CHOU: YES.

19 MR. TOCHER: LEONDRA CLARK-HARVEY.

20 DR. CLARK-HARVEY: YES.

21 MR. TOCHER: SHANNON DAHL.

22 DR. DAHL: YES.

23 MR. TOCHER: DEBORAH DEAS.

24 DR. DEAS: YES.

25 MR. TOCHER: ANNE-MARIE DULIEGE.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. DULIEGE: YES.
2 MR. TOCHER: YSABEL DURON.
3 MS. DURON: YES.
4 MR. TOCHER: MARK FISCHER-COLBRIE.
5 DR. FISCHER-COLBRIE: YES.
6 MR. TOCHER: ELENA FLOWERS.
7 DR. FLOWERS: YES.
8 MR. TOCHER: JUDY GASSON.
9 DR. GASSON: YES.
10 MR. TOCHER: JEFF GOLDEN FOR SHLOMO
11 MELMED.
12 DR. GOLDEN: YES.
13 MR. TOCHER: VITO IMBASCIANI.
14 CHAIRMAN IMBASCIANI: YES.
15 MR. TOCHER: PAT LEVITT.
16 DR. LEVITT: YES.
17 MR. TOCHER: HALA MADENAT.
18 DR. MADENAT: YES.
19 MR. TOCHER: LINDA MALKAS.
20 DR. MALKAS: YES.
21 MR. TOCHER: CAROLYN MELTZER.
22 DR. MELTZER: YES.
23 MR. TOCHER: CHRISTINE MIASKOWSKI.
24 DR. MIASKOWSKI: YES.
25 MR. TOCHER: ADRIANA PADILLA.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. PADILLA: YES.
2 MR. TOCHER: JOE PANETTA.
3 MR. PANETTA: YES.
4 MR. TOCHER: MARVIN SOUTHARD.
5 DR. SOUTHARD: YES.
6 MR. TOCHER: SHAUNA STARK.
7 DR. STARK: YES.
8 MR. TOCHER: KAROL WATSON.
9 DR. WATSON: YES.
10 MR. TOCHER: YAEL WYTE.
11 DR. WYTE: YES.
12 MR. TOCHER: AND KEITH YAMAMOTO.
13 DR. YAMAMOTO: YES.
14 MR. TOCHER: THANK YOU VERY MUCH. AND
15 THAT MOTION CARRIES. JUDY.
16 DR. GASSON: THANK YOU VERY MUCH. WE WILL
17 NOW TAKE UP THE CHAIR'S REQUEST AND TURN IT OVER TO
18 VITO FOR A SHORT PRESENTATION TO OPEN THIS ITEM.
19 CHAIRMAN IMBASCIANI: THANK YOU, JUDY, AND
20 THANK YOU, PAT, AND MEMBERS OF THE GOVERNANCE
21 SUBCOMMITTEE AND THE FULL BOARD FOR CONSIDERING THE
22 REQUEST.
23 THE FIRST THOUGHT THAT YOU PROBABLY SHOULD
24 HAVE HAD WHEN YOU READ MY MEMO, WHAT ARE THE
25 RESPONSIBILITIES OF THE CHAIR AND HOW WOULD THEY BE

1 COVERED IF THIS REQUEST WERE TO BE HONORED.

2 SO I WANT TO TELL YOU THAT, WITH SCOTT'S
3 HELP AND DIRECTION, I SCOURED PROPOSITION 14 AND THE
4 INTERNAL GOVERNANCE POLICY OF THE ICOA AND CAME UP
5 WITH 14 RESPONSIBILITIES OF THE CHAIR AND HAVE
6 DIVIDED THEM EFFECTIVELY IN HALF SO THAT I WOULD
7 MAINTAIN RESPONSIBILITY AND FOCUS ON SIX OF THEM,
8 WHICH I HAVE LISTED IN THE MEMO. THAT INCLUDES
9 MANAGING THE ICOA MEETING AGENDAS AND WORKFLOW, AND
10 THAT INCLUDES WEEKLY MEETINGS WITH OUR CEO AND
11 PRESIDENT, WITH OUR CHIEF COUNSEL, AND WITH BOARD
12 GOVERNANCE; EX OFFICIO MEMBER AND PARTICIPATING
13 MEMBER OF ALL BOARD SUBCOMMITTEES; OVERSEE THE
14 ANNUAL AUDIT; AND THE BUDGET CREATION FOR THE OFFICE
15 OF THE CHAIR; AND TO APPOINT ADVISORY TASK FORCES AS
16 NEEDED. THE LAST TIME THAT WAS DONE WAS OVER TWO
17 YEARS AGO WITH THE CREATION OF THE NEURO TASK FORCE.

18 THAT LEAVES EIGHT OTHER ACTIVITIES, TWO OF
19 WHICH, NOS. 4 AND 7, ARE REALLY RARE. AND I AM
20 ASSURED IN CONVERSATIONS WITH THE GOVERNANCE
21 SUBCOMMITTEE AND WITH OUR PRESIDENT AND CEO THAT
22 DEVOLVING THESE RESPONSIBILITIES, IN PART, TO
23 MEMBERS OF THE CIRM TEAM WILL NOT OVERLY BURDEN
24 ANYONE. AND I SAY THAT WITH EXPERIENCE BECAUSE THE
25 VARIOUS TEAMS, FOR EXAMPLE, THE COMMUNICATIONS TEAM

BETH C. DRAIN, CA CSR NO. 7152

1 THAT DOES SO MUCH WORK IN DATA COLLECTION AND
2 PATIENT SELECTION AND OTHER ACTIVITIES RELATED TO
3 THE CREATION OF THE ANNUAL AUDIT, THEY'RE ALREADY
4 DOING THIS WORK. AND I PARTICIPATE IN AN EDITORIAL
5 AND A GUIDANCE WAY AND GIVING FINAL APPROVAL. THE
6 SAME WITH THE PREPARATION OF THE BUDGET AND
7 PREPARING FOR THE BOND SALES TWICE A YEAR. SO I'M
8 ASSURED THAT THIS IS COVERED.

9 AND I GUESS IF YOU HAVE ANY QUESTIONS,
10 THIS WOULD BE A GOOD TIME FOR THAT.

11 DR. GASSON: NOT HEARING --

12 MR. TOCHER: JUDY, WE DO NOT YET HAVE A
13 MOTION ON THE TABLE.

14 DR. GASSON: I WAS GOING TO -- I WILL
15 ENTERTAIN A MOTION TO APPROVE THE CHAIR'S REQUEST.

16 VICE CHAIR BONNEVILLE: SO MOVED.

17 DR. GASSON: SECOND PLEASE.

18 DR. MIASKOWSKI: SECOND.

19 MR. TOCHER: THANK YOU, CHRIS.

20 DR. GASSON: AND IS THERE ANY DISCUSSION
21 UPON THIS MOTION REGARDING THE MECHANICS AND THE
22 MERITS OF THIS PROPOSAL? I'M NOT HEARING ANYTHING.
23 SCOTT, ARE THERE HANDS RAISED?

24 MR. TOCHER: THERE ARE NOT. SO WE CAN
25 MOVE TO --

BETH C. DRAIN, CA CSR NO. 7152

1 DR. GASSON: A VOTE THEN.
2 MR. TOCHER: PUBLIC COMMENT. LET US
3 PAUSE. DOESN'T APPEAR THERE'S ANY PUBLIC COMMENT.
4 KIM BARRETT.
5 DR. BARRETT: AYE.
6 MR. TOCHER: GEORGE BLUMENTHAL.
7 DR. BLUMENTHAL: YES.
8 MR. TOCHER: MARIA BONNEVILLE.
9 VICE CHAIR BONNEVILLE: YES.
10 MR. TOCHER: JOHN CARETHERS.
11 DR. CARETHERS: YES.
12 MR. TOCHER: MARGUERITE CASILLAS.
13 MS. CASILLAS: YES.
14 MR. TOCHER: JUDY CHOU.
15 DR. CHOU: AYE.
16 MR. TOCHER: LEONDRA CLARK-HARVEY.
17 DR. CLARK-HARVEY: AYE.
18 MR. TOCHER: SHANNON DAHL.
19 DR. DAHL: YES.
20 MR. TOCHER: DEBORAH DEAS.
21 DR. DEAS: YES.
22 MR. TOCHER: ANNE-MARIE DULIEGE.
23 DR. DULIEGE: YES.
24 MR. TOCHER: YSABEL DURON.
25 MS. DURON: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. TOCHER: MARK FISCHER-COLBRIE.
2 DR. FISCHER-COLBRIE: YES.
3 MR. TOCHER: ELENA FLOWERS.
4 DR. FLOWERS: YES.
5 MR. TOCHER: JUDY GASSON.
6 DR. GASSON: YES.
7 MR. TOCHER: JEFF GOLDEN.
8 DR. GOLDEN: YES.
9 MR. TOCHER: PAT LEVITT.
10 DR. LEVITT: YES.
11 MR. TOCHER: HALA MADENAT.
12 DR. MADENAT: YES.
13 MR. TOCHER: LINDA MALKAS.
14 DR. MALKAS: YES.
15 MR. TOCHER: CAROLYN MELTZER.
16 DR. MELTZER: AYE.
17 MR. TOCHER: CHRISTINE MIASKOWSKI.
18 DR. MIASKOWSKI: YES.
19 MR. TOCHER: ADRIANA PADILLA.
20 DR. PADILLA: YES.
21 MR. TOCHER: JOE PANETTA.
22 MR. PANETTA: YES.
23 MR. TOCHER: MARVIN SOUTHARD.
24 DR. SOUTHARD: YES.
25 MR. TOCHER: SHAUNA STARK.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. STARK: YES.
2 MR. TOCHER: KAROL WATSON.
3 DR. WATSON: YES.
4 MR. TOCHER: YAEL WYTE.
5 DR. WYTE: YES.
6 MR. TOCHER: AND KEITH YAMAMOTO.
7 DR. YAMAMOTO: YES.
8 MR. TOCHER: THANK YOU VERY MUCH. JUDY,
9 THAT MOTION CARRIED.
10 DR. GASSON: THANK YOU ALL. BACK TO THE
11 CHAIR.
12 CHAIRMAN IMBASCIANI: THANKS, JUDY, AND
13 THANKS, PAT, TOO FOR GUIDANCE IN ALL OF THIS.
14 OKAY. WE'RE NOW MOVING ON HAPPILY TO
15 AGENDA ITEM NO. 6, A DISCUSSION REGARDING THE
16 PROCESS CIRM IS USING FOR PREFERENCE SETTING. I'M
17 GOING TO INTRODUCE OUR PRESIDENT AND CEO, JONATHAN
18 THOMAS, TO LEAD THE DISCUSSION AND MAKE NECESSARY
19 INTRODUCTIONS. THANK YOU, J.T.
20 DR. THOMAS: THANK YOU, MR. CHAIR. JUST
21 VERY BRIEFLY, AS THE BOARD WELL KNOWS, THE CONCEPT
22 PLANS ADOPTED FOR THE NEW PDEV AND CLIN2 PROGRAMS
23 UNDER THE SAF INCLUDE PREFERENCES AS AN IMPORTANT
24 PART OF THE PROCESS. THERE HAVE BEEN A NUMBER OF
25 QUESTIONS ABOUT HOW THE PREFERENCES HAVE BEEN

1 WORKING, WHAT'S APPROPRIATE, WHAT'S NOT, ET CETERA.
2 AND WE'RE PLEASED THAT, NOW THAT WE HAVE TWO FULL
3 ROUNDS OF PRESUBMISSION ANALYSIS FOR PDEV AND
4 QUALIFICATION ANALYSIS FOR CLIN IN THE BOOKS, THAT
5 WE NOW HAVE DATA THAT MAKES IT APPROPRIATE FOR US TO
6 GIVE THE BOARD AND THE PUBLIC A FULL ACCOUNTING OF
7 RETROSPECTIVELY HOW PREFERENCES HAVE PLAYED OUT OVER
8 THIS INITIAL PHASE OF IMPLEMENTATION UNDER THE SAF.

9 AND TO DO THAT, WE HAVE OUR ESTEEMED CHIEF
10 SCIENCE OFFICER, ROSA CANET-AVILES, WHO'S GOING TO
11 GIVE THE BOARD A PRESENTATION ON THIS TOPIC IN
12 DETAIL. ROSA.

13 DR. CANET-AVILES: THANK YOU, J.T. AND
14 THANK YOU, MR. CHAIRMAN, MADAM VICE CHAIR,
15 DISTINGUISHED MEMBERS OF THE BOARD, AND THE PUBLIC.
16 I'M HAPPY TO COME HERE WITH AN UPDATE ON THE FUNDING
17 PREFERENCES.

18 JUST BEFORE I GET STARTED, CAN ANYONE TELL
19 ME IF YOU CAN SEE THE PRESENTATION WELL?

20 MR. TOCHER: WE CAN.

21 DR. CANET-AVILES: OKAY. WONDERFUL. SO
22 AS J.T. WAS SAYING, OVER THE LAST YEAR, WE
23 INTRODUCED THESE PREFERENCES TO HELP TRANSLATE THE
24 PUBLIC INVESTMENT THAT WE ARE GIVEN THROUGH
25 PROPOSITION 14 INTO WHAT WE ARE EXPECTING TO BE THE

1 REAL PATIENT IMPACT WITHIN OUR REMAINING RUNWAY. SO
2 WHAT WE ARE BRINGING TODAY TO THE BOARD ARE THE
3 RESULTS OF THE FIRST CYCLES TO GIVE US ALL A CHANCE,
4 AS J.T. WAS SAYING, TO PAUSE AND TO ASSESS HOW THIS
5 IS WORKING IN PRACTICE.

6 AND I'D LIKE TO START WITH THE END OF WHAT
7 WE INTEND TO ACHIEVE TODAY SO THAT THERE IS A FOCUS
8 IN TODAY'S DISCUSSION. TODAY IS ABOUT THE INTENT,
9 IT'S ABOUT THE EARLY SIGNALS THAT WE'VE SEEN, AND
10 WHAT WE ARE LEARNING, NOT ABOUT FINAL CONCLUSIONS.
11 SO THE GOAL AT THE END OF TODAY IS TO DISCUSS AND
12 LEARN FROM THE BOARD, FROM YOU, WHAT TYPES OF DATA
13 YOU WOULD LIKE TO SEE AT OUR NEXT MARCH ICOC MEETING
14 TO BE ABLE TO MAKE DECISIONS MOVING FORWARD.

15 SO WITH THAT, I'M JUST GOING TO MOVE INTO
16 TODAY'S LAYOUT OF THE PRESENTATION. THE OVERVIEW
17 HAS THE CONTEXT AND OBJECTIVES OF WHY ARE WE DOING
18 THIS, WHERE ARE WE; THE GUIDING PRINCIPLES THAT
19 MOVED US, AND WE WILL GO THROUGH THOSE GUIDING
20 PRINCIPLES; AND THEN THE RESULTS OF THE FIRST CYCLES
21 FOR THE THREE PROGRAMS, THE PRECLINICAL DEVELOPMENT
22 AND THE CLINICAL DEVELOPMENT, CLIN2, AND THEN DISC4,
23 WHICH ALSO HAS HAD ONE REVIEW -- ONE CYCLE WHERE
24 PREFERENCES WERE APPLIED AND THE REVIEW IS HAPPENING
25 SOON. AND THEN CLOSING, WHAT ARE THE NEXT STEPS.

1 AND THEN WE CAN OPEN FOR DISCUSSION.

2 SO THIS SLIDE IS MEANT TO GROUND THE REST
3 OF TODAY'S DISCUSSION IN THE REALITY, IN THE
4 OPERATING REALITY THAT WE ARE ALL WORKING WITHIN.

5 SO WE ARE WORKING WITHIN PROPOSITION 14, WHICH
6 SIGNIFICANTLY EXPANDED OUR MANDATE, BOTH IN TERMS OF
7 SCIENTIFIC AMBITION, BUT ALSO IN THE EXPECTATIONS
8 AROUND PATIENT IMPACT. CELL AND GENE THERAPIES HAVE
9 MOVED FORWARD. WHEN WE STARTED IN 2004, IT WAS A
10 VERY DIFFERENT FIELD THAN WE ARE NOW, RIGHT.

11 SO IN PARTICULARLY IT REINFORCED
12 PRIORITIES AROUND DISEASES OF THE CENTRAL NERVOUS
13 SYSTEM AND MADE ACCESS AND AFFORDABILITY AN EXPLICIT
14 PART OF OUR RESPONSIBILITY, NOT SOMETHING TO
15 CONSIDER DOWNSTREAM. AT THE SAME TIME OUR FUNDING
16 REMAINS FINITE AND TIME BOUND. SO WE HAVE A DEFINED
17 REMAINING RUNWAY, AND THE AMOUNT OF STRONG
18 APPLICATIONS SCIENTIFICALLY THAT WE RECEIVE
19 CONSISTENTLY IS MUCH LARGER THAN WHAT WE COULD
20 SUPPORT. AND THIS HAPPENS TO ALL FUNDING AGENCIES.
21 IT'S NOT A UNIQUE PROBLEM TO CIRM. SO THIS IS NOT A
22 REFLECTION OF DECLINING QUALITY. IT'S A REFLECTION
23 OF THE DEMANDING OUTPACING CAPACITY THAT WE CAN
24 TAKE.

25 SO TAKEN TOGETHER, THESE TWO REALITIES,

1 THE FINITE FUNDS AND THE EXPANDED MANDATES AND THE
2 INCREASED AMOUNT OF APPLICATIONS, CREATE A CENTRAL
3 TENSION THAT WE ARE TRYING TO MANAGE.

4 SO THE EXPANDED EXPECTATIONS FOR IMPACT
5 WITHIN FIXED RESOURCES AND FINITE TIME FRAME, AND
6 ULTIMATELY THE GOAL IS TO TRANSLATE ALL OF THIS
7 INVESTMENT INTO THERAPIES THAT REACH PATIENTS, BUT
8 THERAPIES THAT REACH PATIENTS THAT COULD NOT
9 OTHERWISE BE REACHED IN CALIFORNIA. SO TO THAT END,
10 THE BOARD APPROVED THE STRATEGIC FRAMEWORK AND THE
11 IMPACT GOALS AS THE ROADMAP TO ACHIEVE THAT BACK IN
12 SEPTEMBER OF 2024.

13 SO PREFERENCES ARE THE MECHANISM BY WHICH
14 WE INTRODUCE TO HELP NAVIGATE THIS CHALLENGE. AND
15 THE REST OF THE PRESENTATION BUILDS FROM THIS
16 REALITY. HOW WE ATTEMPTED TO OPERATIONALIZE ALL OF
17 THIS, WHAT ARE WE SEEING FROM THE INITIAL CYCLES,
18 AND WHAT ELSE DO WE NEED TO BRING TO THE BOARD SO
19 THAT YOU CAN MAKE INFORMED DECISIONS BEFORE
20 CONSIDERING ANY NEXT STEPS.

21 SO STARTING FROM THIS CONTEXT AND REALITY,
22 THE PRACTICAL QUESTION IS HOW DO WE MOVE FORWARD
23 FROM MANY STRONG APPLICATIONS, THE PORTFOLIO THAT
24 HAS THE HIGHEST LIKELIHOOD OF DELIVERING REAL
25 PATIENT IMPACT WITHIN OUR LIFETIME?

1 THIS SLIDE SHOWS THE ROLE OF THE
2 PREFERENCES, THE ROLE THAT THEY ARE INTENDED TO
3 PLAY. AND IS IT'S NOT A SUBSTITUTE OF SCIENTIFIC
4 REVIEW, BUT A WAY TO FOCUS LIMITED RESOURCES TOWARDS
5 APPLICATIONS THAT ARE MOST ALIGNED WITH OUR IMPACT
6 GOALS.

7 ON THE LEFT WE START WITH A VERY HIGH
8 VOLUME OF APPLICATIONS. MANY OF THESE ARE
9 SCIENTIFICALLY STRONG, BUT THEY, IN TERMS OF
10 READINESS, ALIGNMENT WITH STATUTORY MANDATES, AND
11 THE LIKELIHOOD OF TRANSLATING INTO THERAPIES WITHIN
12 THE TIME FRAME THAT WE HAVE. THE STARS HERE IN THIS
13 SLIDE REPRESENT APPLICATIONS THAT MOST STRONGLY
14 ALIGN WITH OUR GUIDING PRINCIPLES. DOESN'T MEAN
15 THAT THE OTHERS ARE NOT SCIENTIFICALLY STRONG. IT'S
16 JUST THAT THE ONES WITH THE STARS ARE MORE ALIGNED
17 WITH THOSE GUIDING PRINCIPLES. AND SO IMPORTANTLY,
18 THE ABSENCE OF THE STAR DOESN'T MEAN THAT THEY ARE
19 NOT STRONG SCIENTIFICALLY AS I WAS SAYING.

20 SO THE PREFERENCES HELP REACH THE POOL BY
21 BRINGING FORWARD APPLICATIONS THAT ARE MORE LIKELY
22 TO CONTRIBUTE TO NEAR OR MIDTERM IMPACT GIVEN OUR
23 CONSTRAINTS. SO THAT'S WHAT THE PREFERENCES ROLE
24 HAS BEEN. AND THAT ENRICHED POOL THEN MOVES INTO
25 SCIENTIFIC AND TECHNICAL REVIEW WHERE THE MERIT, THE

1 RIGOR, AND THE READINESS ARE EVALUATED IN-DEPTH.

2 THE KEY POINT IS THAT PREFERENCES SHAPE WHICH
3 APPLICATIONS RISE FOR REVIEW WHILE THE PEER REVIEW
4 DETERMINES WHICH OF THOSE WILL GET FUNDED.

5 NOW, I WANT TO PAUSE HERE AND ACKNOWLEDGE
6 WHAT WE'VE BEEN HEARING BECAUSE THIS FEEDBACK IS AN
7 IMPORTANT PART OF WHY WE ARE HAVING TODAY'S
8 DISCUSSIONS. ALSO, I WANT TO MAKE SURE THAT
9 EVERYBODY KNOWS THAT THERE IS A MEMO AS WELL THAT
10 CONTAINS ALL OF THIS PRESENTATION AND A LOT OF THE
11 DETAILS THAT WE HAVE BEEN ASKED THROUGH THE SCIENCE
12 SUBCOMMITTEE IN TERMS OF DATA. SO IF YOU WANT TO
13 REFER TO THAT WHILE I'M PRESENTING, THAT'S ALSO
14 AVAILABLE.

15 SO SOME OF THE CONCERNS REFLECT
16 UNCERTAINTY ABOUT HOW THE PREFERENCES OR THESE
17 PRESUBMISSION/QUALIFICATION PROCESS INTERACTS WITH
18 THE SCIENTIFIC REVIEW AND WHETHER THE PROPOSALS THAT
19 DIDN'T ADVANCE WERE FULLY CONSIDERED ON SCIENTIFIC
20 GROUNDS. OTHERS REFLECT QUESTIONS ABOUT WHETHER
21 PREFERENCES MIGHT UNINTENTIONALLY FAVOR CERTAIN
22 MODALITIES OR PATHWAYS OR WHETHER PROGRESSION WITHIN
23 THE CIRM PORTFOLIO IS BEING APPROPRIATELY
24 RECOGNIZED. WE HAVE ALSO HEARD A DESIRE FOR MORE
25 CLARITY AROUND HOW QUALIFICATION, SCORING, AND

1 PREFERENCES AND INTENT FIT TOGETHER AND WHAT THE
2 PREFERENCES ARE DESIGNED TO DO VERSUS WHAT THEY ARE
3 NOT DESIGNED TO DO.

4 MORE IMPORTANTLY, A LOT OF THIS FEEDBACK
5 IS LESS ABOUT DISAGREEMENT WITH THE GOALS AND MORE
6 ABOUT UNDERSTANDING THE LOGIC; IN OTHER WORDS, HOW
7 THE PIECES FIT TOGETHER, HOW DECISIONS WERE
8 SEQUENCED, AND HOW THIS ULTIMATELY CONNECTS TO
9 DELIVERING THERAPIES FOR PATIENTS. AND THAT
10 FEEDBACK HAS HELPED US UNDERSTAND THAT WE NEED TO BE
11 CLEARER AND MORE EXPLICIT IN HOW WE COMMUNICATE THE
12 RATIONALE BEHIND THESE DECISIONS, AND HOW WE SHOW
13 THE LINK BETWEEN PRIORITIZATION DECISIONS AND THE
14 OUTCOMES OF PATIENT IMPACT. AND ALSO ABOUT THE FACT
15 THAT, IF WE WANT TO MAKE AN IMPACT WITH THE
16 CONSTRAINTS THAT WE HAVE OF TIME AND FUNDING, WE
17 NEED TO MAKE HARD CHOICES. AND THAT WE NEED TO BE
18 MORE CLEAR ABOUT IT, TO MAKE VERY CLEAR WHAT THE
19 CHOICES ARE IN ALIGNMENT WITH THE BOARD, OBVIOUSLY.

20 TAKING ALL OF THAT TOGETHER, THE CONTEXT
21 THAT WE ARE OPERATING IN, THE ROLE OF THE
22 PREFERENCES ARE MEANT TO PLAY, AND THE FEEDBACK
23 WE'VE BEEN HEARING, THIS BRINGS US TO WHAT WE ARE
24 TRYING TO ACCOMPLISH IN TODAY'S DISCUSSION.

25 WE HAVE THREE OBJECTIVES. I JUST WANT TO

1 MAKE SURE THAT THE OBJECTIVE TODAY IS NOT TO DEBATE
2 INDIVIDUAL OUTCOMES OR TO MAKE DECISIONS ABOUT
3 CHANGING PREFERENCES. THAT'S GOING TO HAPPEN IN
4 MARCH. I JUST WANT TO MAKE THIS VERY CLEAR.

5 THE OBJECTIVES TODAY ARE ABOUT REVIEWING
6 THE RATIONALE FOR THESE PREFERENCES. HOW DID WE SET
7 THEM UP? HOW DO THEY CONNECT TO OUR PROPOSITION 14
8 MANDATE AND TO THE STRATEGY THAT THE BOARD APPROVED
9 BACK IN SEPTEMBER OF '24, AND TO THE PRACTICAL
10 CONSTRAINTS THAT WE ARE WORKING WITHIN. THAT'S A
11 VERY IMPORTANT POINT, AND WE HOPE THAT THIS WILL BE
12 CLEAR AFTER TODAY'S PRESENTATION.

13 THE SECOND IS THAT WE WANT TO SHARE WHAT
14 WE ARE LEARNING FROM THE FIRST FUNDING CYCLES.
15 THESE ARE SIGNALS, NOT CONCLUSIONS, BUT THEY WILL
16 HELP US UNDERSTAND WHETHER THE APPROACH IS
17 FUNCTIONING IN THE WAY THAT IT WAS INTENDED. AND
18 PART OF OUR QUESTIONS TODAY TO THE BOARD ARE ARE
19 THERE ANY SPECIFIC ANALYSIS OF THESE FIRST FUNDING
20 CYCLES THAT YOU NEED -- THAT YOU WOULD LIKE TO SEE
21 MORE GRANULARITY IN THE DATA SO YOU CAN UNDERSTAND
22 THIS BETTER AT THE MARCH MEETING TO MAKE YOUR
23 DECISIONS. SO THAT WOULD BE THE SECOND PART.

24 AND THE LAST OBJECTIVE TODAY IS THAT WE
25 WANT TO USE THE COMMITTEE'S PERSPECTIVE TO IDENTIFY

1 WHAT PORTFOLIO ANALYSIS LEVELS ARE NEEDED BEFORE WE
2 COME BACK IN MARCH TO HAVE A MORE SUBSTANTIVE
3 DISCUSSION ABOUT THESE NEXT STEPS. SO THE SECOND
4 AND THE THIRD KIND OF GO TOGETHER.

5 SO NOW WE ARE GOING TO GO -- WITH THAT
6 FRAMING, BEFORE GETTING INTO ANY SPECIFIC
7 PREFERENCES OR SCORING MECHANICS, IT IS IMPORTANT
8 THAT WE GO BACK AND MAKE EXPLICIT WHAT WERE THE
9 PRINCIPLES THAT GUIDED HOW THESE PREFERENCES WERE
10 DEVELOPED IN THE FIRST PLACE BECAUSE THAT DOESN'T
11 SEEM -- WE DIDN'T DO A GOOD JOB EXPLAINING THIS. SO
12 I'M GOING TO SPEND QUITE A BIT OF TIME IN THIS
13 SLIDE.

14 THESE PRINCIPLES ARE NOT THEORY. THEY ARE
15 BASICALLY THE BACKBONE ON HOW WE DESIGN ALL OUR
16 PROGRAMS, BUT SPECIFICALLY WE ARE TALKING NOW ABOUT
17 THE PRECLINICAL DEVELOPMENT AND THE CLIN2. AND THEY
18 REFLECT THE REALITIES ALSO OF WHAT WE'VE LEARNED
19 OVER THE YEARS OF FUNDING PROGRAMS THAT EITHER DID
20 OR DIDN'T TRANSLATE INTO THERAPIES THAT REACH
21 PATIENTS.

22 THE FIRST PRINCIPLE IS THAT THEY NEED TO
23 OFFER POTENTIAL FOR TRANSFORMATIVE CLINICAL IMPACT.
24 WHAT DO WE MEAN BY CLINICAL IMPACT? WE ARE NOT
25 USING THAT AS A SYNONYM FOR SCIENTIFIC NOVELTY OR

1 DISEASE IMPORTANCE. IN THE CONTEXT OF PRECLINICAL
2 AND CLINICAL PROGRAMS, CLINICAL IMPACT MEANS THE
3 REALISTIC POTENTIAL FOR A THERAPY TO MEANINGFULLY
4 CHANGE OUTCOMES FOR PATIENTS IN TERMS OF EFFICACY,
5 DURABILITY, SAFETY, OR BURDEN OF CARE COMPARED TO
6 WHAT EXISTS OR IS POTENTIALLY COMING DOWN THE
7 PIPELINE.

8 THIS PRINCIPLE FORCES US TO ASK IS THE
9 SCIENCE INTERESTING. IT DOESN'T FORCE US TO ASK IS
10 THE SCIENCE INTERESTING. WHAT IT'S ASKING IS IF
11 THIS WORKS, DOES IT ACTUALLY MATTER FOR PATIENTS.

12 THE SECOND GUIDING PRINCIPLE IS THAT IT
13 ADDRESSES BOTTLENECKS TO ACCESS, AFFORDABILITY, AND
14 TRANSLATIONAL FEASIBILITY. THIS PRINCIPLE REFLECTS
15 ONE OF THE MOST IMPORTANT LESSONS EMBEDDED IN CIRM'S
16 STRATEGY. EARLY DECISIONS THAT WE MAKE AFFECT
17 DOWNSTREAM ACCESS. IN BOTH THE PRECLINICAL AND THE
18 CLIN2, WE HAVE SEEN THAT CHOICES AROUND MODALITY,
19 DELIVERY, MANUFACTURING STRATEGY, AND THE CLINICAL
20 IMPLEMENTATION CAN MAKE THE DIFFERENCE BETWEEN A
21 THERAPY THAT'S TECHNICALLY SUCCESSFUL AND ONE THAT
22 IS ACTUALLY USABLE AT SCALE. SO THIS PRINCIPLE IS
23 NOT ABOUT PRICING POLICY OR REIMBURSEMENT DEBATE.
24 IT'S ABOUT WHETHER THE PROPOSED APPROACH
25 ACKNOWLEDGES AND BEGINS TO ADDRESS KNOWN BOTTLENECKS

1 TO ACCESS.

2 THE THIRD PRINCIPLE IS THAT IT FILLS
3 CRITICAL FUNDING GAPS AND ADVANCE CIRM'S STATUTORY
4 MANDATES. THIS IS A VERY IMPORTANT PRINCIPLE. SO
5 CIRM'S ROLE IS NOT TO FUND EVERYTHING. IT'S TO FUND
6 WHAT OTHERS CANNOT OR WILL NOT, WHICH WAS PART OF
7 THE REASON OUR RAISON D'ETRE WHEN WE WERE
8 ESTABLISHED IN 2004. THIS PRINCIPLE REFLECTS THE
9 REALITY THAT SOME DISEASES, MODALITIES, OR STAGES OF
10 DEVELOPMENT ARE PERSISTENTLY UNDERFUNDED BY FEDERAL
11 AGENCIES OR BY THE PRIVATE SECTOR DESPITE THEIR
12 POTENTIAL IMPACT AND IMPORTANCE.

13 IN PRECLINICAL DEVELOPMENT, THIS SHOWS UP
14 IN HOW WE THINK ABOUT ENABLING TRANSLATIONAL WORK
15 THAT COULD OTHERWISE STALL. IN THE CLIN2 PROGRAM IT
16 SHOWS UP IN SUPPORTING TRIALS THAT CARRY SCIENTIFIC
17 OR OPERATIONAL RISK, BUT THAT ALIGN STRONGLY WITH
18 CIRM'S MISSION. THIS PRINCIPLE ALSO EXPLICITLY
19 INCORPORATES PROP 14 MANDATES, INCLUDING CNS AND
20 PLURIPOTENT STEM CELL APPROACHES AS PART OF OUR
21 PRIORITIZATION LOGIC, NOT AS EXCLUSIONS, BUT AS
22 AREAS THAT CIRM HAS A STATUTORY OBLIGATION TO LEAD.

23 THE FOURTH PRINCIPLE IS THAT IT CAN
24 REALISTICALLY ACHIEVE KEY REGULATORY AND DEVELOPMENT
25 PATHS WITHIN CIRM'S FINITE RUNWAY. THIS PRINCIPLE

1 IS ONE OF THE HARDEST AND ALSO A VERY IMPORTANT ONE.
2 IT DOESN'T MEAN THAT AMBITIOUS SCIENCE IS
3 DISCOURAGED. IT MEANS THAT WE HAVE TO BE HONEST
4 ABOUT TIMELINES, THE TENDENCIES, AND WHAT CIRM
5 FUNDING CAN REASONABLY ENABLE WITHIN THE YEARS THAT
6 WE HAVE LEFT.

7 IN THE CLIN2 PROGRAM, THIS IS WHY
8 READINESS, REGULATORY CLARITY, AND OPERATIONAL
9 FEASIBILITY MATTERS SO MUCH. IN PRECLINICAL
10 DEVELOPMENT IN THE PDEV PROGRAM IS WHY WE FOCUS ON
11 WHETHER A PROGRAM IS POSITIONED TO MAKE A
12 MEANINGFULLY INFLECTION SUCH AS ENABLING AN IND.
13 THIS PRINCIPLE -- RATHER THAN ACCUMULATING MORE
14 EXPLORATORY DATA, FOR EXAMPLE. AND THIS PRINCIPLE
15 PROTECTS BOTH CIRM AND APPLICANTS FROM INVESTING
16 HEAVILY IN PROGRAMS THAT, EVEN IF SCIENTIFICALLY
17 SOUND, ARE UNLIKELY TO REACH MEANINGFUL MILESTONES
18 WITHIN CIRM'S LIFETIME.

19 THE FIFTH PRINCIPLE IS THAT IT ADDRESSES
20 DISEASES AFFECTING CALIFORNIANS. AS A PUBLIC FUNDED
21 AGENCY, CIRM HAS A RESPONSIBILITY TO ENSURE THAT ITS
22 PORTFOLIO REFLECTS DISEASES AND CONDITIONS THAT
23 MEANINGFULLY AFFECT CALIFORNIANS. THIS DOESN'T MEAN
24 FUNDING ONLY COMMON DISEASES, NOR DOES IT EXCLUDE
25 RARE DISEASES. WHAT IT DOES IS REQUIRES US TO BE

1 THOUGHTFUL ABOUT THE OVERALL BALANCE OF THE
2 PORTFOLIO AND THE POPULATIONS ULTIMATELY SERVED IN
3 THAT. AND THAT'S SOMETHING ALSO THAT, AS PART OF
4 THE PORTFOLIO THAT WE BRING IN MARCH, IF YOU HAVE
5 QUESTIONS ABOUT THIS, WE WANT TO MAKE SURE THAT WE
6 INCORPORATE THEM.

7 AND THE LAST PRINCIPLE IS DIVERSIFICATION
8 OF CIRM'S ACTIVE AWARD PORTFOLIO. THIS FINAL
9 PRINCIPLE IS PARTICULARLY IMPORTANT GIVEN THE
10 CONCERNs THAT HAVE BEEN RAISED ABOUT PREFERENCES
11 BECOMING RESTRICTIVE. DIVERSIFICATION IS A
12 GUARDRAIL. IT EXISTS TO PREVENT OVERCONCENTRATION
13 IN A SINGLE DISEASE AREA OR MODALITY OR DEVELOPMENT
14 PATHWAY EVEN IF THAT AREA IS POPULAR OR WELL
15 REPRESENTED AMONG APPLICATIONS. IN PRACTICAL TERMS,
16 THIS PRINCIPLE IS WHY NOVELTY, UNDERREPRESENTED
17 DISEASE AREAS, AND THEIR POSITION RELATIVE TO THE
18 ACTIVE PORTFOLIO ARE EXPLICITLY CONSIDERED IN THE
19 PDEV AND CLIN2 SCORING. IT IS ALSO WHY PREFERENCES
20 ARE NOT BINARY GATES. AND IT ENSURES THAT GENERALLY
21 NOVEL OR NONOBVIOUS APPROACHES, INCLUDING OUTLIERS,
22 HAVE A PATHWAY FORWARD RATHER THAN BEING CROWDED OUT
23 BY PROGRAMS THAT SIMPLY ALIGN WITH THE MOST COMMON
24 PREFERENCES.

25 WITH THOSE GUIDING PRINCIPLES IN MIND, I

1 WANT TO SHOW NOW HOW THEY WERE TRANSLATED INTO
2 PRACTICAL PROGRAM-SPECIFIC PREFERENCE RUBRICS. AND
3 IN THE NEXT SLIDES, I WILL WALK THROUGH BOTH THE
4 PDEV AND THE CLIN2 SLIDES RUBRICS WHICH WERE
5 INTENTIONALLY DESIGNED AS COMPLEMENTARY TOOLS AT
6 DIFFERENT POINTS ALONG THE TRANSLATIONAL PATHWAY.
7 IN BOTH CASES THE KEY THING TO KEEP IN MIND HERE IS
8 THAT THESE ARE ALIGNMENT AND QUALIFICATION TOOLS,
9 NOT SUBSTITUTES OF SCIENTIFIC PEER REVIEW.

10 SO THE PDEV PROGRAM IS INTENDED TO ENRICH
11 CIRM'S CLINICAL PIPELINE. COMPARED TO THE EXISTING
12 PORTFOLIO, IT SHOULD ADVANCE STATE-OF-THE-ART
13 THERAPEUTIC TECHNOLOGIES THAT ADDRESS A BROAD RANGE
14 OF DISEASES AFFECTING CALIFORNIANS. THE FIRST LINE
15 HERE REFLECTS ALIGNMENT WITH CORE AREAS WHERE CIRM
16 HAS A CLEAR ROLE AND RESPONSIBILITY, EITHER BECAUSE
17 OF OUR STATUTE OR BECAUSE OF DOWNSTREAM ACCESS AND
18 FEASIBILITY CONSIDERATIONS.

19 APPLICANTS IN THE FIRST LINE OF PREFERENCE
20 RECEIVE UP TO THREE POINTS IF THEIR PROJECT ALIGNs
21 WITH AT LEAST ONE OF THESE AREAS, EITHER PLURIPOTENT
22 STEM CELL-DERIVED THERAPIES, DISEASES OF THE CNS, OR
23 IN VIVO GENE THERAPIES. IMPORTANTLY, IN THIS CASE
24 THIS IS NOT AN ADDITIVE POINT. MEETING ONE
25 CRITERION IS ENOUGH. AND IF YOU MEET TWO, YOU GET

1 THE SAME. THIS WAS DESIGNED DELIBERATELY TO AVOID
2 STACKING MODALITY BIAS OR FORCING PROJECTS TO FIT
3 MULTIPLE BOXES.

4 THE NEXT SET OF PREFERENCES CAPTURES
5 READINESS AND TRANSLATIONAL MOMENTUM. THESE INCLUDE
6 NONVIRAL NUCLEIC ACID DELIVERY, EVIDENCE OF EARLY
7 FDA ENGAGEMENT THROUGH A PRE-IND OR INTERACT
8 MEETING, AND PROGRESSION FROM EARLIER CIRM PROGRAMS
9 SUCH AS DISC2 OR TRAN1. THESE SIGNALS HELP US
10 UNDERSTAND WHERE A PROJECT SITS ON THE DEVELOPMENT
11 PATH AND WHETHER CIRM FUNDING IS LIKELY TO BE
12 CATALYTIC AT THIS STAGE. AGAIN, THESE PREFERENCES
13 ARE NOT REQUIREMENTS. AND YOU GET A POINT FOR EACH
14 ONE OF THESE IF YOU GET THIS -- IF YOU CLICK THAT
15 BOX.

16 UNDERREPRESENTED DISEASE AREAS, THIS
17 CATEGORY IS ABOUT PORTFOLIO BALANCE. WE EXPLICITLY
18 LOOK AT HOW REPRESENTATIVE A DISEASE AREA ALREADY IS
19 WITHIN CIRM'S ACTIVE AWARDS AND GIVE MODEST
20 ADDITIONAL WEIGHT TO AREAS THAT ARE
21 UNDERREPRESENTED. THE INTENT HERE IS
22 DIVERSIFICATION, NOT PRIORITIZATION OF RARITY OR
23 EXCLUSION OF WELL-REPRESENTED DISEASES.

24 AND THE LAST ONE IS THE NOVELTY CRITERIA
25 WHICH EXISTS SPECIFICALLY TO PREVENT PREFERENCES

1 FROM BECOMING EXCLUSIONARY AND TO ENSURE THAT STRONG
2 OUTLIER IDEAS STILL HAVE A WAY TO MOVE FORWARD EVEN
3 WHEN THEY DON'T SCORE POINTS ON MULTIPLE
4 PREFERENCES. AT THE SAME TIME IT'S IMPORTANT TO BE
5 EXPLICIT THAT NO SINGLE PREFERENCE OPERATES IN
6 ISOLATION. PROJECTS STILL NEED TO TOUCH OTHER
7 ASPECTS OF PROGRAM INTENT, AND PREFERENCES
8 INEVITABLY WILL INVOLVE TRADE-OFFS.

9 SETTING PREFERENCES MEANS MAKING CHOICES
10 ABOUT WHAT THE PORTFOLIO IS OPTIMIZED TO DO. AND
11 THAT DOESN'T EXCLUDE SOME THINGS EVEN WHEN THE
12 SCIENCE IS STRONG.

13 THE NEXT ONE IS THE CLIN2 RUBRIC, AND THIS
14 IS AT THE QUALIFICATION. SO THIS SLIDE SUMMARIZES
15 WHAT WE'VE USED FOR THE CLIN2 QUALIFICATION RUBRIC
16 WHICH IS USED AS AN INTERNAL SCREENING TOOL BY THE
17 REVIEW TEAM TO DETERMINE WHICH APPLICATIONS AND
18 DISC4 APPLICATIONS THAT PDEV WAS FOR PRESUBMISSIONS.
19 SO THERE IS A DIFFERENCE BETWEEN THE PDEV AND CLIN2.
20 SO THE REVIEW TERM DETERMINES WHICH APPLICATIONS
21 MOVE FORWARD BASED ON THIS RUBRIC TO THE GRANTS
22 WORKING GROUP. IT'S NOT A SCIENTIFIC SCORING RUBRIC
23 AND IT DOESN'T REPLACE PEER REVIEW.

24 EACH ITEM HERE REFLECTS A DISCRETE
25 PREFERENCE DESIGNED TO ASSESS ALIGNMENT WITH THE

1 GUIDING PRINCIPLES THAT I MENTIONED A FEW SLIDES
2 AGO. THE FIRST SET OF PREFERENCES INCLUDES
3 PLURIPOTENT STEM CELL-DERIVED THERAPIES AND DISEASES
4 OF THE CNS WHICH ARE BOTH EXPLICIT PROP 14
5 PRIORITIES. THIS IS ALIGNED WITH THE PDEV
6 PREFERENCES AS WELL.

7 IN VIVO GENE THERAPY IS INCLUDED HERE
8 INDIRECTLY, RESPONDING TO A STATUTORY MANDATE
9 BECAUSE OF ITS POTENTIAL FOR SCALABLE DELIVERY AND
10 BROADER PATIENT ACCESS.

11 NONVIRAL GENETIC THERAPIES ARE INCLUDED TO
12 RECOGNIZE EMERGING APPROACHES THAT MAY REDUCE SAFETY
13 OR MANUFACTURING CONSTRAINTS. WE ALSO ACCOUNT FOR
14 ACCELERATED REGULATORY DESIGNATIONS SUCH AS RMAT,
15 BREAKTHROUGH, OR FAST TRACK BECAUSE THESE MATERIALLY
16 CHANGE DEVELOPMENT TIMELINES AND SIGNAL REGULATORY
17 ALIGNMENT. PROGRESSION FROM EARLIER CIRM AWARDS
18 REFLECTS STEWARDSHIP OF PRIOR INVESTMENTS, AND
19 CALIFORNIA ORGANIZATIONS REFLECT THE TAXPAYER FUNDED
20 NATURE OF THE PROGRAM.

21 FINALLY, PIVOTAL TRIALS RECEIVE ADDITIONAL
22 WEIGHT BECAUSE THEY REPRESENT THE FASTEST AND MOST
23 DIRECT PATH TO POTENTIAL LICENSURE AND PATIENT
24 IMPACT. SOMETHING THAT IS NOT HERE -- WELL, I'LL
25 TALK ABOUT THIS LATER.

1 SO WITH THAT FRAMEWORK IN MIND, WE'LL SHOW
2 HOW THE RESULTS FROM THE FIRST TWO CYCLES OF PDEV
3 AND CLIN2 ILLUSTRATE HOW THESE PREFERENCES PLAYED
4 OUT IN PRACTICE. AND WE'LL START WITH THE PDEV AND
5 THE FIRST CYCLE. THIS SLIDE SUMMARIZES HOW THE
6 PREFERENCES FRAMEWORK PLAYED IN THE PDEV CYCLE FIRST
7 CYCLE.

8 WE RECEIVED A TOTAL OF 168 PRESUBMISSIONS
9 REFLECTING WHAT I WAS SAYING AT THE VERY BEGINNING,
10 A VERY STRONG DEMAND AND HIGH VOLUME OF
11 APPLICATIONS.

12 USING THE PRESUBMISSION RUBRIC, WE INVITED
13 33 APPLICATIONS FORWARD FOR FULL APPLICATION AND
14 REVIEW, WHICH IS ROUGHLY THE TOP 20 PERCENT. WHAT'S
15 IMPORTANT HERE IS THE SEPARATION SIGNAL. AMONG THE
16 INVITED GROUP, 97 PERCENT MET THREE TO FOUR OF THE
17 STATED PREFERENCES. WHEREAS, 42 PERCENT OF THE
18 NONINVITED PROPOSALS MET ZERO OR ONE PREFERENCES.
19 THAT TELLS US THE RUBRIC WAS FUNCTIONING AS
20 INTENDED, NOT EXCLUDING IDEAS ARBITRARILY, BUT
21 HELPING DISTINGUISH WHICH PROPOSALS WERE MOST
22 ALIGNED WITH THE PRIORITIES THAT THE BOARD SET.

23 AND DURING THE SCIENCE SUBCOMMITTEE
24 PRESENTATIONS, WE HAD QUESTIONS ABOUT HOW THE
25 PREFERENCES WERE DISTRIBUTED BY THE APPLICATIONS.

1 THOSE DETAILS ARE ACTUALLY IN THE MEMO. SO IF YOU
2 ARE THINKING ABOUT THESE, PLEASE REFER TO THE MEMO
3 FOR THOSE DETAILS.

4 NOW, OF THOSE 33 INVITED TO FULL
5 APPLICATION AND REVIEW, 12 WERE ULTIMATELY FUNDED
6 AWARDS. AND WE SEE SEVERAL CONSISTENT THEMES HERE.
7 THERE WAS A CLEAR INCREASE IN PROPOSALS WITH
8 STRONGER TRANSLATIONAL READINESS, INNOVATION, AND
9 SCALABILITY. THIS IS ALL ALIGNED WITH THE GUIDING
10 PRINCIPLES. MORE THAN HALF REPRESENTED PROGRESSIONS
11 OF PRIOR CIRM-FUNDED PROGRAMS. AND THE FUNDED SET
12 IS MORE ENRICHED FOR DISEASE AREAS THAT HAVE BEEN
13 UNDERREPRESENTED IN OUR ACTIVE PORTFOLIO.

14 TAKEN TOGETHER, THIS CYCLE SUGGESTS THAT
15 THE PREFERENCES ARE NOT NARROWING THE SCIENCE, BUT
16 REBALANCING THE PRECLINICAL PORTFOLIO ACROSS
17 MODALITY, DISEASE AREA, AND PROP 14 PRIORITIES IN A
18 WAY THAT'S INTENTIONAL AND ALIGNED WITH THE STRATEGY
19 THAT THE BOARD APPROVED.

20 THIS SLIDE IS GOING TO NOW SHOW THE DATA
21 FOR THE SECOND CYCLE OF THE PRECLINICAL DEVELOPMENT.
22 AN IMPORTANT CAVEAT HERE IS THAT THESE ARE
23 PRESUBMISSION RESULTS ONLY. WE HAVE NOT YET
24 COMPLETED FULL APPLICATION REVIEW OR GRANTS WORKING
25 GROUP ASSESSMENT. WHAT WE SEE, HOWEVER, IS THAT THE

BETH C. DRAIN, CA CSR NO. 7152

1 SAME PATTERN FROM THE FIRST CYCLE IS HOLDING. WE
2 RECEIVED 126 PRESUBMISSIONS AND INVITED 23 FOR FULL
3 APPLICATION, REPRESENTING APPROXIMATELY 18 PERCENT
4 OF THE TOTAL. IMPORTANTLY, A HUNDRED PERCENT OF THE
5 INVITED APPLICATIONS MET THREE TO FIVE PREFERENCES
6 WHILE ABOUT A THIRD OF UNINVITED APPLICATIONS MET
7 ZERO TO ONE PREFERENCES. SO THE SIGNAL HERE REMAINS
8 VERY CLEAN.

9 TWO ADDITIONAL POINTS RESPOND DIRECTLY TO
10 QUESTIONS THAT WE'VE BEEN HEARING. FIRST, THE
11 INVITED POOL SPANS BROADER DISEASE AREAS, INCLUDING
12 MORE CANCER AND IMMUNOLOGY PROGRAMS THAN IN CYCLE 1,
13 AND, SECOND, NEARLY 40 PERCENT OF INVITED
14 APPLICATIONS COULD REPRESENT PROGRESSIONS WITHIN THE
15 EXISTING CIRM PORTFOLIO IF FUNDED, WHICH IS EXACTLY
16 WHAT WE INTENDED AS WELL. SO WHILE FINAL FUNDING
17 DECISIONS ARE STILL AHEAD, THE TAKEAWAY, THE
18 PRELIMINARY TAKEAWAY IS THAT THE PREFERENCE
19 FRAMEWORK CONTINUES TO DO WHAT IT WAS DESIGNED TO
20 DO, WHICH IS TO IDENTIFY THE STRONGEST, MOST ALIGNED
21 PROGRAMS EARLY WHILE ALLOWING THE PORTFOLIO TO
22 BROADEN ACROSS DISEASE AREAS WHERE THE SCIENCE IS
23 READY.

24 I'M HAVING TROUBLE TODAY WITH THE CLICKS.
25 OKAY.

1 SO NOW I'M GOING TO TURN THE CLIN2 CYCLE
2 1. WE RECEIVED 23 TOTAL APPLICATIONS, ALL OF WHICH
3 WERE RANKED USING THE PREFERENCE POINTS AS PART OF
4 THE QUALIFICATION. FROM THOSE, SEVEN ADVANCED TO
5 FULL REVIEW AND FOUR WERE ULTIMATELY FUNDED. A FEW
6 THINGS ARE WORTH HIGHLIGHTING HERE.

7 FIRST, ALL THE SEVEN APPLICATIONS THAT
8 ADVANCED TO FULL REVIEW MET THREE TO FOUR PREFERENCE
9 POINTS. SO THE FRAMEWORK THAT WE PUT TOGETHER WITH
10 THE PREFERENCES CLEARLY DISTINGUISHED THE TOP TIER.
11 ALL SEVEN TARGETED CNS INDICATIONS, WHICH IS
12 CONSISTENT WITH ONE OF THE PROP 14 PRIORITIES.
13 THERE'S BEEN SOME QUESTIONS HERE ABOUT WHETHER, IF
14 WE REMOVE CNS, IT COULD CHANGE THE POOL OF WHAT
15 ADVANCED. WE'VE DONE THAT, AND WE COULD BRING THIS
16 IN MARCH. IT DIDN'T CHANGE THE NATURE. IT ACTUALLY
17 CHANGED -- IN TERMS OF ONCOLOGY, IT CHANGED WHICH
18 APPLICATION OF ONCOLOGY MOVED FORWARD, BUT IT DIDN'T
19 CHANGE THE PICTURE BECAUSE ALL THE CNS INDICATIONS
20 ARE ALSO CLICKING OTHER PREFERENCE CRITERIA IN THE
21 QUALIFICATION PROCESS.

22 THE SECOND CONCLUSION HERE IS THAT SIX OF
23 THE SEVEN INVOLVED EITHER PLURIPOTENT STEM
24 CELL-DERIVED THERAPIES OR IN VIVO GENETIC THERAPIES,
25 AND FOUR ALREADY HAD ADVANCED REGULATORY

1 DESIGNATIONS, WHICH SPEAKS TO DEVELOPMENT MATURITY.
2 LOOKING AT THE FUNDED PROGRAMS, ALL FOUR HAVE A
3 FEASIBLE DELIVERY PATH ALIGNED WITH OUR ACCESS AND
4 AFFORDABILITY STRATEGY. AS YOU KNOW, WE'VE
5 DEVELOPED A RUBRIC, WE HAVE CONSULTANTS EVALUATING
6 THIS, AND THAT'S A VERY STRONG PART OF OUR REVIEW
7 PROCESS AS WELL. AND THREE REPRESENT PROGRESSIONS
8 OF PRIOR CIRM-FUNDED PROGRAMS. AND THIS TELLS US
9 THAT THE SYSTEM IS REINFORCING BOTH INNOVATION AND
10 DISCIPLINED PORTFOLIO ADVANCEMENT.

11 THE KEY TAKEAWAY IS THAT THE SYSTEM HAS
12 BEEN WORKING DIRECTIONALLY AS INTENDED, AND IT
13 ELEVATED PROGRAMS THAT ARE ALIGNED WITH THE
14 STATUTORY -- IT HAS ELEVATED PROGRAMS THAT ALIGN
15 WITH THE STATUTORY PRIORITIES, CLINICAL READINESS,
16 AND DELIVERY FEASIBILITY. THAT SAID, THIS FIRST
17 CYCLE ALSO MADE CLEAR THAT THE RELATIVE WEIGHING OF
18 PREFERENCE MATTERS. AND THAT'S WHERE REFINEMENT
19 MIGHT BE NEEDED TO ENSURE WE ARE NOT
20 OVERCONCENTRATED SIGNALS AS THE PORTFOLIO GROWS.

21 THIS IS THE SECOND CYCLE. THIS SLIDE
22 SHOWS WHERE WE ARE FOR THE SECOND CYCLE, RECOGNIZING
23 THAT THESE ARE INTERIM RESULTS PENDING THE
24 APPLICATION REVIEW SUBCOMMITTEE APPROVAL OF THE
25 GRANTS WORKING GROUP REVIEW THAT HAPPENED TWO DAYS

1 AGO. WE RECEIVED 21 APPLICATIONS, ALL OF WHICH WERE
2 RANKED USING THE SAME PREFERENCE-BASED FRAMEWORK.
3 FROM THOSE SEVEN, SEVEN ADVANCED TO FULL REVIEW, AND
4 EVERY ADVANCING APPLICATION SCORED, AGAIN, THREE TO
5 FOUR PREFERENCE POINTS, WHICH IS CONSISTENT WITH
6 WHAT WE SAW IN THE FIRST CYCLE.

7 IN TERMS OF COMPOSITION, FOUR TARGETED
8 CNS, AND THOSE FIVE REPRESENT PROGRESSION FROM THE
9 EXISTING CIRM PORTFOLIO, AND FIVE ARE EITHER IN VIVO
10 GENETIC THERAPIES OR PLURIPOTENT STEM CELL-DERIVED
11 THERAPIES, AGAIN, REFLECTING STRONG ALIGNMENT WITH
12 THE PREFERENCES THAT THE BOARD SET. AND THE FINAL
13 FUNDING RECOMMENDATIONS WILL FOLLOW THE APPLICATION
14 REVIEW SUBCOMMITTEE APPROVAL, WHICH I BELIEVE THIS
15 ONE IS MARCH 1, BUT SCOTT WILL CONFIRM.

16 SO STEPPING BACK, WHEN WE LOOK ACROSS THE
17 PRECLINICAL DEVELOPMENT AND THE PDEV AND CLIN2 FIRST
18 CYCLE TOGETHER, THE OVERALL PATTERN IS CONSISTENT
19 AND DIRECTIONALLY ALIGNED WITH WHAT THE BOARD ASKED
20 US TO DO WHEN WE SET THE STRATEGY. AWARDS IN BOTH
21 PROGRAMS REFLECT THE INNOVATION, READINESS, ACCESS
22 AND AFFORDABILITY, AND CNS CRITERIA THAT WERE
23 EXPLICITLY LAID OUT IN THE PROGRAM ANNOUNCEMENT, NOT
24 INFORMALLY, BUT OPERATIONALLY THROUGH THE
25 QUALIFICATION AND RANKING PROCESS.

1 THE APPLICATIONS THAT ADVANCED WERE
2 LARGELY CIRM PORTFOLIO PROGRESSIONS, BUT IMPORTANTLY
3 THEY WERE NOT ADVANCING BECAUSE THEY WERE LEGACY
4 PROJECTS. THEY ADVANCED BECAUSE THEY ALSO MET
5 MULTIPLE STATED PREFERENCES, SAME WITH THE CNS.

6 AS A RESULT, THE PORTFOLIO NOW INCLUDES
7 MORE FEASIBLE AND SCALABLE MODALITIES WHICH
8 STRENGTHENS DOWNSTREAM ACCESS AND AFFORDABILITY
9 POTENTIAL WITHOUT SACRIFICING SCIENTIFIC RIGOR.

10 THAT SAID, I JUST WANT TO MAKE SURE THAT
11 WE ARE CLEAR ABOUT THE CAVEATS HERE. THESE ARE
12 EARLY SIGNALS, AND IT'S TOO SOON TO ASSESS PORTFOLIO
13 LEVEL IMPACT. WHAT WE CAN SAY AT THIS STAGE IS THAT
14 THE SYSTEM IS BEHAVING DIRECTIONALLY AS INTENDED.

15 I'M NOW GOING TO MOVE INTO THE DISC4
16 RESULTS. AND AS YOU ALL RECALL, THE DISC4
17 PREFERENCES WERE SET DIFFERENT THAN WHAT WE DID FOR
18 PDEV AND CLIN4 -- CLIN2. THIS WAS DONE THROUGH THE
19 NEURO TASK FORCE/SCIENCE SUBCOMMITTEE. AND IT'S A
20 BRIEF REMINDER THAT THE STAFF PROPOSED ALTERNATING
21 PREFERENCE CYCLES FOR DISC4 WITH NEURO EVERY OTHER
22 YEAR. AND THE BOARD APPROVED NEUROLOGICAL DISEASE
23 AS A PREFERENCE FOR FISCAL YEAR '25/'26 FOR THE
24 DISC4 CYCLE.

25 THE PROGRAM WAS OPEN TO ALL COMERS, BUT

1 THERE WAS THIS PREFERENCE. AND WHEN WE SAY
2 NEUROLOGICAL DISEASE, IT WAS IN THE WIDE -- IN THE
3 WIDE UNDERSTANDING INCLUDING NEURODEGENERATIVE,
4 NEUROPSYCHIATRIC, AND NEURODEVELOPMENTAL DISEASES.

5 THIS SLIDE SHOWS HOW THE ALTERNATING
6 STRUCTURE IS INTENDED TO WORK OVER TIME. SOME
7 CYCLES ARE DRIVEN BY NEURO TASK FORCE/SCIENCE
8 SUBCOMMITTEE IDENTIFIED NEEDS, SUCH AS THE FIRST
9 ROUND THAT WE HAD IN NEUROPSYCHIATRIC OR
10 NEURODEVELOPMENTAL PRIORITIES, WHILE OTHERS REFLECT
11 THE BOARD SET PREFERENCES. AND IT WAS PROP 14
12 NEURO-WIDE UNDERSTANDING OF THE PREFERENCE THAT THE
13 BOARD SET BACK THIS YEAR. SO CRUCIALLY EVERY CYCLE
14 REMAINS OPEN TO ALL COMERS, BUT THE PREFERENCE DOES
15 DETERMINE WHAT WE WILL MOSTLY BE REVIEWING.

16 THIS STRUCTURE GIVES THE BOARD A
17 REPEATABLE, TRANSPARENT WAY TO SET DIRECTION YEAR
18 OVER YEAR WHILE STILL PRESERVING SCIENTIFIC BREADTH
19 AND FLEXIBILITY.

20 SO NOW I'M GOING TO SHOW WHERE THE
21 PREFERENCE SCORING FOR DISC4 IN THIS YEAR. THESE
22 ARE HOW WE OPERATIONALIZE THE PREFERENCES CONSISTENT
23 WITH WHAT WAS APPROVED IN THE PROGRAM ANNOUNCEMENT.
24 SO THE NEUROLOGICAL DISEASE PREFERENCE CARRIED A
25 VERY LARGE WEIGHT. YOU CAN SEE HERE THAT IF YOU

1 DIDN'T APPLY NEURO, YOU COULD STILL MAKE IT THROUGH,
2 BUT IT WAS HARD. SO THE NEUROLOGICAL DISEASE
3 CARRIED 36 PERCENT OF THE TOTAL SCORING WEIGHT. AND
4 THAT WAS INTENTIONAL AND TRANSPARENT, WHICH
5 REFLECTED THE BOARD DECISION TO CLEARLY SIGNAL A
6 PRIORITY FOR THIS CYCLE WHILE STILL KEEPING THE
7 PROGRAM OPEN TO ALL APPLICANTS.

8 IMPORTANTLY, THE PREFERENCE ALIGNMENT WAS
9 NOT THE SOLE DRIVER OF THE RANKING. 64 PERCENT OF
10 THE SCORE CAME FROM SCIENTIFIC SUBSTANCE WHICH WAS
11 REPRESENTED BY RELEVANCE TO HUMAN DISEASE BIOLOGY,
12 CROSS-DISCIPLINARY AND SYSTEM LEVEL APPROACHES, AND
13 INNOVATION IN STEM CELL OR GENETIC RESEARCH. IN
14 OTHER WORDS, AS I WAS SAYING, PROJECTS STILL HAD TO
15 BE STRONG SCIENCE FIRST, AND THE PREFERENCE WEIGHT
16 DID NOT REPLACE SCIENTIFIC MERIT, BUT IT SHAPED
17 WHICH STRONG PROJECTS ROSE TO THE TOP WHEN
18 TRADE-OFFS WERE NECESSARY.

19 THIS STRUCTURE IS DOING WHAT IT WAS
20 DESIGNED TO DO, WHICH IS TO TRANSLATE THE PRIORITIES
21 THAT THE BOARD GAVE US WITHOUT COLLAPSING DISC4 INTO
22 A SINGLE DISEASE PROGRAM OR EXCLUDING HIGH QUALITY
23 WORK OUTSIDE OF THE PREFERENCE AREA.

24 NOW I'M GOING TO SHOW WHAT DID WE GET AS
25 RESULTS OF SETTING THESE PREFERENCES IN THIS FIRST

1 CYCLE. WE RECEIVED 138 PRESUBMISSIONS AND 86
2 PERCENT ALIGNED WITH THE NEURO PREFERENCE. THAT
3 TELLS US THAT THE SIGNAL WAS CLEARLY HEARD BY THE
4 COMMUNITY. FROM THAT POOL, 24 APPLICATIONS WERE
5 INVITED TO FULL APPLICATION AND REVIEW. AND,
6 IMPORTANTLY, HUNDRED PERCENT OF THOSE INVITED MET
7 THE NEURO PREFERENCE, BUT THEY SPAN A BROAD RANGE OF
8 NEUROLOGICAL DISEASES AND REPRESENT A WIDE DIVERSITY
9 OF MECHANISMS AND SCIENTIFIC APPROACHES. AND THAT
10 PART WE WILL BE HAPPY IN MARCH TO PROVIDE A DOWNLOAD
11 OF -- GRANULAR DOWNLOAD OF THAT DATA.

12 SO WHAT WE ARE SEEING HERE IS NOT
13 CONVERGENCE ON A SINGLE DISEASE OR MODALITY. IT'S
14 ENRICHMENT WITHIN A PRIORITY AREA WHILE PRESERVING
15 SCIENTIFIC BREADTH. FUNDING DECISIONS, AS I
16 MENTIONED EARLIER, ARE STILL PENDING. AND WE'LL
17 HAVE A REVIEW IN 2026 IN FEBRUARY, BUT AT THIS STAGE
18 THE PREFERENCE IS DOING EXACTLY WHAT THE BOARD
19 DESIGNED TO DO, WHICH IS TO SHAPE THE POOL WITHOUT
20 NARROWING THE SCIENCE.

21 SO I'M JUST GOING TO CLOSE WITH TWO
22 SLIDES. STEPPING BACK, THESE ARE THE SIGNALS THAT
23 WE ARE SEEING FROM PREFERENCE SETTING ACROSS THE
24 PROGRAMS. FIRST, APPLICATIONS THAT ADVANCE TO
25 GRANTS WORKING GROUP REVIEW CONSISTENTLY MET

1 MULTIPLE PREFERENCES. THIS TELLS US THAT THE RUBRIC
2 IS DOING REAL TRIAGE AND IS NOT ADVANCING PROJECTS
3 ON A SINGLE ATTRIBUTE ALONE.

4 THE SECOND IS THAT IN CLIN2 WE SEE HIGH
5 PROPORTION OF CNS PROJECTS FUNDED, WHICH IS
6 CONSISTENT WITH THE STATED PREFERENCE AND CONFIRMS
7 THAT, WHEN WE WEIGH A PRIORITY, IT SHOWS UP CLEARLY
8 AT THE OUTCOME LEVEL, BUT IT ALSO SHOWS THAT, FOR
9 THOSE CNS PROJECTS, THEY ARE KEEPING OTHER MULTIPLE
10 PREFERENCES. SO THEY ARE NOT COMING IN SIGNALING
11 THAT THE FIELD IS READY FOR CNS PROJECTS.

12 IN CONTRAST, FOR PDEV, IT SHOWS A MUCH
13 WIDER SPREAD ACROSS DISEASE AREAS AND MODALITIES,
14 WHICH REFLECTS BOTH THE EARLIER STAGE OF THE SCIENCE
15 AND THE WAY THAT PREFERENCES WERE DESIGNED TO GUIDE,
16 NOT CONSTRAIN THE PORTFOLIO.

17 AND FINALLY, ACROSS BOTH PROGRAMS, A LARGE
18 FRACTION OF FUNDED PROJECTS ARE CIRM PORTFOLIO
19 PROGRESSIONS. OVER 50 PERCENT IN THE CASE OF THE
20 PDEV PROGRAM AND ROUGHLY 75 PERCENT IN THE CLIN2,
21 SUGGESTING THAT WHAT WE SET UP IS REINFORCING
22 CONTINUITY WHILE STILL APPLYING DIRECTIONAL FILTERS.

23 IMPORTANTLY, THESE ARE EARLY SIGNALS, NOT
24 FINAL CONCLUSIONS. AND THIS PRESENTATION, WHAT WE
25 ARE INTENDING TO DO IS KEY UP KEY QUESTIONS FOR THE

1 BOARD. AND IN MARCH WE COULD BRING PORTFOLIO
2 ANALYSIS AND OPTIONS INFORMED BY YOUR GUIDANCE.

3 NOW, BASED ON WHAT WE'VE SHOWN TODAY, WHAT
4 ARE WE GOING TO BRING IN MARCH? THE FIRST THING IS
5 WE WILL PROPOSE PATHS IN MARCH TO MOVE FORWARD THAT
6 WILL BE INFORMED BY, A, WHAT SORT OF PREFERENCES
7 COULD THE BOARD LIKE TO SEE; AND, B, WHERE IN THE
8 PROCESS DOES THE BOARD WANT TO SEE THE PREFERENCES?
9 SO THOSE ARE TWO VERY IMPORTANT THINGS, RIGHT. THE
10 SORT OF PREFERENCES AND WHERE IN THE PROCESS DO WE
11 PUT THOSE -- DO WE HAVE THESE PREFERENCES.

12 IN ORDER TO HAVE THAT DISCUSSION, WE WILL
13 PROVIDE PORTFOLIO ANALYSIS THAT ALLOWS US TO
14 VISUALIZE HOW PREFERENCES ARE SHAPING WHAT'S MOVING
15 FORWARD IN TERMS OF THE PORTFOLIO. DURING OUR
16 PRE-CALLS THAT WE HAD WITH THE SCIENCE SUBCOMMITTEE,
17 WE GOT FEEDBACK ON THOSE ANALYSES ALREADY. AND
18 THOSE ARE REFLECTED HERE.

19 FIRST, WE WILL BRING ILLUSTRATIVE
20 PORTFOLIO CUTS THAT SHOW HOW CIRM-FUNDED PROJECTS
21 MAP ACROSS INNOVATION, PROGRESSION, AND DISEASE
22 FOCUS. THAT INCLUDES COMPLETE EXAMPLES OF WHAT WE
23 ARE CATEGORIZING AS INNOVATION, HOW PROJECTS ADVANCE
24 THROUGH SUCCESSIVE STAGES OF CIRM FUNDING, AND HOW
25 DISEASES ARE REPRESENTED ACROSS RECENT CYCLES.

1 SECOND, WE WILL PRESENT A CLEAR PICTURE OF
2 THE CURRENT AND EMERGING PORTFOLIO, LOOKING AT BOTH
3 ACTIVE AWARDS AND RECENT APPLICATION CYCLES BROKEN
4 DOWN BY DISEASE AREA, MODALITY, STAGE OF
5 DEVELOPMENT, AND HOW PREFERENCES IN THE FIRST CYCLE
6 HAVE BEEN SHAPING THE PIPELINE. WITH ALL OF THIS,
7 THE PROPOSED ANALYSIS INTENT IS TO MOVE THE
8 CONVERSATION FROM INDIVIDUAL APPLICATIONS TO
9 PORTFOLIO LEVEL EFFECTS.

10 THE SECOND THING THAT -- AND PLEASE LET US
11 KNOW IF THERE'S ANYTHING THERE THAT WE ARE MISSING
12 FROM THE SCIENCE SUBCOMMITTEE FEEDBACK OR FROM
13 QUESTIONS THAT YOU MIGHT HAVE NOW.

14 THE SECOND THING THAT WE PLAN ON BRINGING
15 ARE OPTIONS FOR WHERE PREFERENCES COULD OPERATE IN
16 THE FUNDING PROCESS. FOR EXAMPLE, SHOULD WE HAVE
17 THEM AT PRESUBMISSION, AT QUALIFICATION, OR LATER AT
18 THE APPLICATION REVIEW SUBCOMMITTEE AS PROGRAMMATIC
19 CONSIDERATIONS. AND WHAT EACH OPTION IMPLIES FOR
20 SCIENTIFIC REVIEW, FOR TRANSPARENCY, AND BOARD
21 OVERSIGHT. THIS WILL BE FRAMED AS OPTIONS, NOT
22 RECOMMENDATIONS, TO SUPPORT THE DISCUSSION IN MARCH.

23 AND WITH THIS, I WOULD LIKE TO THANK YOU
24 ALL, THE BOARD AND THE PUBLIC, FOR THE ATTENTION AND
25 ALL THE QUESTIONS AS WELL THAT HAVE HELPED US SHAPE

1 THESE PRESENTATIONS, WHICH WE HOPE THAT IT BROUGHT
2 SOME CLARITY AROUND THE PROCESS AND THE STAGE THAT
3 WE ARE IN AS WE ARE TRYING TO REACH OUR GOALS. WITH
4 THIS, I WOULD LIKE TO BRING IT BACK TO OUR -- BACK
5 TO YOU, MR. CHAIRMAN.

6 CHAIRMAN IMBASCIANI: THANK YOU, ROSA.
7 THANKS FOR THE COMPREHENSIVE PRESENTATION. ALSO, I
8 WANT TO THANK YOU FOR THE CARE AND THE BREADTH AND
9 THE SCOPE OF THAT MEMO THAT YOU AND VICE PRESIDENT
10 FOR REVIEW, GIL SAMBRANO, SUPPLIED THE BOARD WITH.
11 IT WAS A GREAT DOCUMENT TO HELP FRAME THE
12 DISCUSSION.

13 SO I EXPECT SORT OF BROAD AND ROBUST
14 DISCUSSION FROM BOARD MEMBERS BEFORE WE GO TO THE
15 PUBLIC. SO I'D LIKE TO OPEN THE FLOOR TO DISCUSSION
16 ON THIS ITEM.

17 MR. TOCHER: JUDY CHOU.

18 CHAIRMAN IMBASCIANI: THIS IS NOT AN
19 ACTION ITEM.

20 MR. TOCHER: JUDY CHOU HAS HER NAME RAISED
21 AS WELL.

22 CHAIRMAN IMBASCIANI: LET'S START WITH
23 JUDY THEN AND GEORGE WILL FOLLOW.

24 DR. CHOU: AGAIN, I WILL ECHO VITO'S
25 COMMENT. VERY NICE PRESENTATION. AND I

1 PARTICULARLY ALSO APPRECIATE THE MEMO BECAUSE THAT
2 REALLY HELP TO FRAME THE WHOLE DISCUSSION.

3 I DO THINK, WHEN WE LOOK AT THE PDEV AND
4 ALSO CLIN2, I AM A LITTLE BIT SURPRISED HOW WE
5 DIDN'T MENTION ANYTHING ABOUT THE MANUFACTURABILITY.
6 IF YOU LOOK AT TODAY'S CELL/GENE THERAPY, THE
7 BIGGEST BOTTLENECK TO BECOME A FEASIBLE PRODUCT, NO
8 MATTER FOR INDIVIDUAL PATIENT OR THE BROADER PATIENT
9 POPULATION, THE BIGGEST ISSUE IS THERE. AND I KNOW
10 CIRM, WE HAD TO PUT IN QUITE A BIT OF EFFORT AND
11 ALSO INVESTMENT IN SOME SENSE TO THIS AREA. BUT I
12 DO THINK WHEN WE SET UP THE CRITERIA, PARTICULARLY
13 IN THE LATER PHASE, IT SHOULD HAVE SOME
14 MANUFACTURABILITY TO MAKE SURE IT TRULY CAN BENEFIT
15 THE PATIENT AND ALSO GUARANTEE ROBUSTNESS.

16 AGAIN, I HEARD YOU. ROSA, YOU'VE BEEN
17 SAYING THOSE WORDS LIKE SCALABILITY, THE
18 MANUFACTURABILITY, BUT I FEEL WE NEED TO FORMALIZE
19 THAT BECAUSE IT IS SO IMPORTANT.

20 DR. CANET-AVILES: VERY GOOD POINT, DR.
21 CHOU. AND THE MANUFACTURABILITY IS TAKEN INTO
22 ACCOUNT IN THE REVIEW CRITERIA OF THE APPLICATIONS.
23 WHAT I WAS TRYING TO CONVEY IN THE GUIDING
24 PRINCIPLES WAS THE ACCESS AND AFFORDABILITY. BUT
25 YOU'RE OUR ABSOLUTELY RIGHT, THAT MANUFACTURABILITY

1 IS ESSENTIAL IN TERMS OF BEING ABLE TO ACCESS AND
2 AFFORD, RIGHT, IN THE ACCESS AND AFFORDABILITY. SO
3 THANK YOU VERY MUCH FOR THAT POINT.

4 CHAIRMAN IMBASCIANI: THANK YOU, JUDY.
5 GEORGE.

6 DR. BLUMENTHAL: THANK YOU SO MUCH, ROSA.
7 I THINK THAT WAS AN EXCELLENT PRESENTATION, AND I
8 THINK THIS IS A REALLY IMPORTANT TOPIC FOR THE
9 BOARD, PARTICULARLY IN VIEW OF THE VOLUME OF
10 APPLICATIONS THAT WE ARE GETTING AND WILL CONTINUE
11 TO GET IN THE FUTURE. AND IT WILL BE AN IMPORTANT
12 TOOL IN HELPING US DISTINGUISH WHICH APPLICATIONS
13 CAN MOVE FORWARD. SO I THINK THAT'S REALLY GOOD.

14 I ALSO THINK IT'S REALLY GOOD THAT THIS
15 AFFORDS THE OPPORTUNITY OF GREATER TRANSPARENCY TO
16 THOSE WHO ARE APPLYING FOR GRANTS TO HAVE A BETTER
17 IDEA OF WHAT THE CRITERIA THAT THE BOARD WILL BE
18 USING IN TERMS OF DECISION MAKING.

19 MY QUESTION, AND THIS MAY REALLY BE A
20 QUESTION FOR OUR DISCUSSION IN MARCH, IS HOW DO YOU
21 ENVISION US APPROVING A FINAL LIST OF PREFERENCES
22 FOR EACH OFFERING? IS THIS SOMETHING THAT WOULD BE
23 DONE AT A BOARD LEVEL, OR WILL THIS BE DONE AT A
24 CIRM LEVEL BEFORE EACH OFFERING?

25 DR. CANET-AVILES: AS ALWAYS, VERY GOOD

1 QUESTION, DR. BLUMENTHAL. AND BEFORE I ANSWER THAT
2 QUESTION, I JUST WANT TO MENTION THAT WE HAVE HEARD
3 LOUD AND CLEAR WE DID NOT DO A GOOD JOB IN
4 COMMUNICATING AND BEING TRANSPARENT, AND WE ARE
5 REALLY MAKING A BIG CHANGE. THAT'S WHY THE MEMO,
6 BUT ALSO IT'S GOING TO BE IN THE PROGRAM
7 ANNOUNCEMENTS AND WILL BE VERY CLEAR IN OUR
8 READINESS AS WELL.

9 SO I THINK MORE THAN TWO WAYS I WOULD
10 ANSWER THE QUESTION. THE FIRST ONE IS FOR MARCH
11 THERE COULD BE -- THE DECISIONS THAT I THINK COULD
12 HELP US AS A TEAM FROM THE BOARD COULD BE WHERE
13 COULD THE BOARD LIKE TO SET THE PREFERENCES? WHERE
14 IS THE PLACEMENT? SHOULD THE PREFERENCES CONTINUE
15 TO BE APPLIED UPSTREAM AT THE PRESUBMISSION OR
16 QUALIFICATION? OR DO WE WANT THE PREFERENCES TO BE
17 SHIFTED LATER IN THE PROCESS? THAT'S ONE QUESTION.

18 THE SECOND ONE IS DOES THE BOARD HAVE --
19 WHEN WE'VE LOOKED AT THE PRINCIPLES, I THINK IT'S
20 NOT SO MUCH ABOUT THE PREFERENCES. IT'S ABOUT THE
21 PRINCIPLES THAT WE ARE APPLYING TO SET THOSE
22 PREFERENCES. IS THERE A DISAGREEMENT WITH THOSE
23 PRINCIPLES FROM THE BOARD? BECAUSE THOSE ARE THE
24 CORE -- I MEAN THE WAY WE TRANSLATE THOSE PRINCIPLES
25 TO THE PREFERENCES IS KIND OF -- IS MORE SCIENTIFIC.

1 DOESN'T REALLY HAVE A LOT OF LEEWAY, BUT IT'S THE
2 PRINCIPLES THAT WE ALL NEED TO BE ADHERING AND IN
3 AGREEMENT.

4 SO PERHAPS A DISCUSSION COULD BE ARE THESE
5 THE PRINCIPLES THAT WE ALL ADHERE TO AND THAT COULD
6 HELP US BECAUSE THE PREFERENCES ARE MORE OF A
7 MATHEMATIC TRANSLATION OF THOSE PRINCIPLES. THANK
8 YOU, DR. BLUMENTHAL.

9 CHAIRMAN IMBASCIANI: THANK YOU, ROSA AND
10 GEORGE. BEFORE PAT ASKS A QUESTION, SCOTT HAS AN
11 ISSUE OF CLARIFICATION.

12 MR. TOCHER: SURE. JUST FOR A PROCESS
13 POINT, FOR THOSE MEMBERS OF THE PUBLIC PARTICIPATING
14 ON THE ZOOM, I WANT TO REMIND YOU THAT IF YOU WISH
15 TO MAKE PUBLIC COMMENT, PLEASE REFER TO THE PROCESS
16 DESCRIBED ON THE AGENDA BECAUSE IT'S SLIGHTLY
17 DIFFERENT FROM HOW WE'VE INVITED PARTICIPATION IN
18 THE PAST. WE WON'T CALL ON YOU FROM THE ZOOM, BUT
19 INSTEAD THROUGH THE DIAL-IN INFORMATION THAT IS AT
20 THE TOP OF THE AGENDA POSTED ON OUR WEBSITE. SO
21 WANTED TO GIVE THAT REMINDER WHILE THE BOARD
22 CONTINUES ITS DISCUSSION.

23 DR. CANET-AVILES: SCOTT, COULD I SAY
24 SOMETHING TO FINISH DR. BLUMENTHAL'S QUESTION THAT I
25 FORGOT AND DR. PATEL JUST REMINDED ME?

1 MR. TOCHER: SURE.

2 DR. CANET-AVILES: SO I SHOULD HAVE
3 SPECIFIED THAT THE PREFERENCES ARE APPROVED BY THE
4 BOARD WHEN WE PRESENT OUR CONCEPTS. SO I THINK THAT
5 IF THERE IS A REVISION OR IF THE BOARD DESIRES A
6 CHANGE IN THE PREFERENCES, WE WOULD HAVE TO COME
7 BACK WITH A REVISED OR AMENDED CONCEPT FOR THE BOARD
8 TO DISCUSS THAT. SO THAT COULD BE THE PROCEDURE,
9 BUT I THINK WHAT I WAS SAYING EARLIER ON IS THE
10 GUIDING PRINCIPLES IS WHAT WE ALL NEED TO ADHERE TO.
11 AND IF THERE ARE DISCUSSIONS ABOUT THAT, THEN THAT
12 DEEMS A DISCUSSION ABOUT THE PREFERENCES FOR THE
13 PROGRAMS.

14 CHAIRMAN IMBASCIANI: OKAY. THANK YOU.

15 NEXT WILL BE PAT FOLLOWED BE MARIA AND THEN KIM AND
16 KEITH.

17 DR. LEVITT: SO THANKS, ROSA. THE GREAT
18 THING ABOUT PREFERENCES IS THAT YOU DON'T KNOW UNTIL
19 YOU INSTITUTE THEM WHETHER THEY HAVE UNINTENDED
20 CONSEQUENCES. AND THEN IF THEY DO, THEN YOU CAN
21 ADAPT AND ADJUST TO THEM. SO THEY'RE NOT SUPER
22 GLUED. SO I HAVE TWO COMMENTS.

23 ONE IS ABOUT THE GUIDING PRINCIPLES. IF
24 YOU READ THE GUIDING PRINCIPLES, NO. 1, OFFER
25 POTENTIAL FOR TRANSFORMATIVE CLINICAL IMPACT AND,

1 NO. 4, CAN REALISTICALLY ACHIEVE KEY REGULATORY
2 DEVELOPMENTAL PATH WITHIN CIRM'S FINITE RUNWAY.

3 THOSE ARE REALLY HIGH. THEY'RE RELATED TO EACH
4 OTHER. THEY GO HAND IN HAND. CAN YOU HEAR ME?

5 DR. CANET-AVILES: YES, I CAN HEAR YOU.

6 DR. LEVITT: WELL, IT'S PRETTY CLEAR
7 THEY'RE TRYING TO SILENCE ME.

8 SO 1 AND 4 ARE BASICALLY -- I'M NOT SAYING
9 THEY'RE IDENTICAL, BUT THEY'RE BASICALLY VERY
10 COMPLEMENTARY. LIKE YOU CAN'T HAVE POTENTIAL
11 TRANSFORMATIVE CLINICAL IMPACT WITHOUT REALISTICALLY
12 ACHIEVING KEY REGULATORY, ET CETERA, ET CETERA.

13 AND THEN YOU HAVE 3 AND 6. FILL CRITICAL
14 FUNDING GAPS AND ADVANCE STATUTORY MANDATES.

15 THERE'S NO ISSUES WITH CIRM'S ADVANCING STATUTORY
16 MANDATES. THAT'S OUR CHARGE. FILLING CRITICAL
17 FUNDING GAPS AND DIVERSIFY CIRM'S ACTIVE AWARD
18 PORTFOLIO, BASICALLY THE SAME THING.

19 SO, IN FACT, I THINK WE HAVE THREE GUIDING
20 PRINCIPLES. ONE IS ABOUT TRANSFORMATIVE CLINICAL
21 IMPACT. THE SECOND IS ABOUT BOTTLENECKS, ACCESS AND
22 AFFORDABILITY, EXTREMELY IMPORTANT. AND THE THIRD
23 IS ABOUT DEBATING WHERE WE ARE NOW IN TERMS OF
24 RUNWAY ABOUT DIVERSIFYING THE PORTFOLIO. WHAT DOES
25 THAT MEAN? WHAT DOES THAT HAVE AN IMPACT ON IN

1 TERMS OF THOSE OPPORTUNITIES THAT MAY BE MORE
2 ADVANCED, BUT YET ARE CONTINUING TO BE PART OF OUR
3 PORTFOLIO?

4 SO THAT WILL BE MAYBE A CONVERSATION FOR
5 MARCH. I DON'T WANT TO BELABOR THAT.

6 THE OTHER IS THAT THERE'S A CIRCULAR
7 ARGUMENT THAT IF YOU HAVE PREFERENCES AND YOU USE
8 THOSE PREFERENCES TO EVALUATE A SUBSET OF GRANTS
9 GOING FORWARD, OF COURSE, THOSE GRANTS ARE GOING TO
10 MEET THE PREFERENCES BECAUSE YOU USE THE PREFERENCES
11 TO MAKE THE DECISIONS. RIGHT? SO IT'S ALWAYS A
12 CIRCULAR ARGUMENT. THE QUESTION IS WHETHER THE
13 PREFERENCES NEED TO BE REEXAMINED.

14 SO FOR ME THERE'S -- AND THERE'S ISSUES
15 AROUND TWO THINGS. ONE IS WHAT WOULD SEEM TO BE A
16 BIAS AGAINST CELL-BASED THERAPIES WHICH CAN'T GET
17 SCORED. IT DOESN'T GET A POINT. AND THEN THE OTHER
18 IS NONVIRAL NUCLEIC ACID DELIVERY WHICH I THINK IS A
19 VERY IMPORTANT PLATFORM. IN FACT, WE'RE GOING TO
20 HEAR LATER ABOUT A NEW INITIATIVE, WHICH IS VERY
21 EXCITING, THAT IS ESSENTIALLY NONVIRAL NUCLEIC ACID
22 DELIVERY IN MOST WAYS. AND SO WE HAVE TWO
23 COMPONENTS NOW THAT ARE BASICALLY PUSHING NONVIRAL
24 DELIVERY WHEN WE KNOW THAT THERE ARE STILL MAJOR
25 OPPORTUNITIES FOR VIRAL DELIVERY. THE VAST MAJORITY

1 OF APPROVED FDA THERAPIES ARE VIRAL DELIVERY. FOLKS
2 ARE WORKING HARD IN THIS AREA AS WELL TO MODIFY SO
3 THAT THERE'S GREATER SUCCESS JUST LIKE THEY ARE IN
4 NONVIRAL DELIVERIES, WHICH IS VERY EXCITING.

5 SO I'M SUGGESTING THAT CIRM INVEST IN
6 NONVIRAL DELIVERY PLATFORMS, RIGHT. BUT WE HAVE TWO
7 COMPONENTS NOW THAT ARE FAVORING THAT. AND
8 BASICALLY, IF YOU'RE DOING VIRAL DELIVERY, YOU'RE
9 BEHIND THE EIGHT BALL.

10 SO THIS IS ALL TO SAY, I'LL STOP THERE,
11 THAT I THINK REVISITING THE PREFERENCES BASED ON THE
12 INITIAL OUTCOMES, I THINK, IS WORTH SOME SIGNIFICANT
13 PERIOD OF TIME DURING THE MARCH MEETING. WE'RE NOT
14 GOING TO HAVE ENOUGH TIME THIS MEETING TO GO THROUGH
15 ALL THIS, BUT I JUST RAISE THOSE TWO POINTS.
16 THANKS.

17 CHAIRMAN IMBASCIANI: MARIA.

18 VICE CHAIR BONNEVILLE: AS SOMETHING ROSA
19 MENTIONED ABOUT IF WE CHANGE PREFERENCES, IT'S A
20 CHANGE IN THE CONCEPT PLAN AND THAT HAS TO COME BACK
21 TO THE BOARD. I WANT TO UNDERSTAND A LITTLE BIT,
22 SCOTT OR J.T. IS THE TEAM GOING TO -- THE TEAM WILL
23 MAKE RECOMMENDATIONS IN MARCH FOR PERHAPS WHAT
24 PREFERENCES MIGHT LOOK LIKE. IF THERE'S MORE
25 CONVERSATION AT THAT MEETING, WILL WE BE ABLE -- I

BETH C. DRAIN, CA CSR NO. 7152

1 ASSUME THERE WOULD BE A CONCEPT PLAN THAT WOULD COME
2 IN ORDER TO ALLOW FOR TIMING BECAUSE I THINK THAT'S
3 WHY WE WANTED TO HAVE THIS CONVERSATION EARLIER
4 BECAUSE OF THE CONCEPT PLANS AND WHETHER OR NOT JUNE
5 IS SOON ENOUGH. I'D HEARD SOME CONVERSATION AROUND
6 THAT. SO I JUST WANT TO MAKE SURE THAT IF THERE ARE
7 CHANGES TO THE CONCEPT PLAN THAT ARE DECIDED AT THE
8 MARCH MEETING THAT ARE OUTSIDE OF RECOMMENDATIONS
9 THAT HAVE COME FROM THE TEAM, THAT ALL OF THAT
10 TIMING WORKS.

11 DR. THOMAS: SO, YES, THAT'S THE IDEA.

12 VICE CHAIR BONNEVILLE: WHICH PART? I'M
13 SORRY. WHICH PART?

14 DR. THOMAS: YOU'RE ASKING WILL YOU BE
15 ABLE TO MAKE ADJUSTMENTS TO THE CONCEPT PLAN AT THE
16 MARCH MEETING AS OPPOSED TO WAITING TILL JUNE. IS
17 THAT SORT OF THE CRUX OF THE QUESTION?

18 VICE CHAIR BONNEVILLE: YEAH.

19 DR. THOMAS: SO I THINK, ROSA, IF YOU WANT
20 TO STEP IN HERE, BUT I THINK THE OBJECT HERE IS GET
21 THE NEW PREFERENCES IN PLACE AS SOON AS WE POSSIBLY
22 CAN. AND IF THAT CAN BE DONE IN MARCH, THAT'S A
23 PREFERABLE WAY TO GO.

24 DR. CANET-AVILES: THEY WOULD NEED TO BE
25 IN MARCH BECAUSE OF THE TIMELINE FOR THE DIFFERENT

1 PROGRAMS THAT ARE COMING IN. SO THE IDEA WAS TO
2 HAVE KIND OF A BLANKET APPROVAL, LIKE IF WE HAVE A
3 DISCUSSION AROUND PREFERENCES AND PROCESS, BECAUSE
4 THE SECOND THING IS WHERE DO PREFERENCE COME IN.
5 AND I THINK THAT THERE ARE SOME TRADE-OFFS ABOUT
6 HAVING THE PREFERENCES EARLIER OR LATER, RIGHT. BUT
7 SO THAT WOULD BE ONE QUESTION.

8 AND THE OTHER COULD BE, IF WE DISCUSS THE
9 PREFERENCES, AND YOU CAN SEE PDEV AND CLIN2 ARE
10 ALIGNING PREFERENCES, THEN WE WERE GOING TO DO THIS
11 EVERY YEAR, RIGHT, REVISE THE PREFERENCES. THAT
12 COULD BE LIKE A BLANKET APPROVAL THAT COULD THEN
13 FLOW INTO THE CONCEPT. WE DON'T HAVE TO PRESENT THE
14 WHOLE CONCEPT AGAIN. IT'S JUST THAT BOARD HAS SET
15 THESE PREFERENCES FOR THIS UPCOMING YEAR BASED ON
16 THIS DATA, AND THAT'S NOW TRANSLATED INTO THE
17 CONCEPTS. THAT'S HOW I WAS CONCEIVING THIS, AND I
18 DON'T KNOW IF SCOTT AND YOU, J.T., AGREE WITH THAT.

19 DR. THOMAS: THAT'S ENTIRELY IN LINE WITH
20 WHAT I JUST SAID. SO THANK YOU, ROSA.

21 VICE CHAIR BONNEVILLE: AND THE SECOND
22 THING I WANTED TO MENTION, JUST IN CONVERSATIONS,
23 WE'VE HAD A LOT OF CONVERSATIONS ABOUT THIS, BUT
24 SOMETHING THAT I THINK WE MISS IS, AND IT'S
25 SOMETHING THAT PAT MENTIONED, WHAT ARE THE OUTCOMES

1 WE WANT BECAUSE THAT REALLY DRIVES WHAT OUR
2 PREFERENCES ARE. AND SO A CLEAR ALIGNMENT AROUND AN
3 OUTCOME OR THE OUTCOMES THAT WE BELIEVE ARE
4 IMPORTANT IS REALLY THE CONVERSATION WE NEED TO GET
5 TO. AND I THINK THEN EVERYONE IS MORE CLEAR ON WHAT
6 THE PREFERENCES MEAN AND WHY THEY'RE THERE. SO
7 THANK YOU, PAT, FOR BRINGING THAT UP.

8 CHAIRMAN IMBASCIANI: THAT WASN'T A
9 RHETORICAL QUESTION.

10 NEXT IS KIM FOLLOWED BY KEITH.

11 DR. BARRETT: ROSA, THANK YOU SO MUCH FOR
12 A REALLY CAREFUL EXPOSITION OF WHERE WE'VE BEEN AND
13 WHERE WE ARE RIGHT NOW. I APPRECIATE THAT. I ALSO
14 AGREE WITH YOU, THAT THE RESPONSE THAT WE'VE
15 RECEIVED FROM THE COMMUNITY ILLUSTRATES THAT WE HAVE
16 TO DO MORE TO COMMUNICATE. AND I'M GLAD THAT THAT'S
17 IN THE PLANS.

18 I THINK WE CAN ALL AGREE THAT, IN A
19 PERFECT WORLD, WE WOULD HAVE ENOUGH RESOURCES TO
20 FUND EVERY PROJECT THAT WAS SCIENTIFICALLY
21 MERITORIOUS. BUT CLEARLY NEITHER, BOTH IN AMOUNT
22 NOR TIME FRAME, WE DON'T HAVE THAT. SO I PERSONALLY
23 BELIEVE THAT WE HAVE A NEED FOR PREFERENCES AND THAT
24 THEY NEED TO BE APPLIED EARLY BECAUSE OTHERWISE WE
25 LOSE THE EFFICIENCY OF THE REVIEW PROCESS AND THE

BETH C. DRAIN, CA CSR NO. 7152

1 ABILITY TO FOCUS ON THE PROPOSALS THAT ARE MOST
2 ALIGNED WITH OUR PRIORITIES.

3 SO THE REQUEST I HAVE FOR MARCH, I'M A
4 SCIENTIST. I LIKE DATA. IN THE SCIENTIFIC
5 LITERATURE IN MY OWN FIELD AND MANY OTHERS, THERE'S
6 BEEN A REVOLUTION OVER THE LAST FIVE TO TEN YEARS TO
7 BE MUCH MORE EXPLICIT ABOUT INDIVIDUAL DATA POINTS.
8 AND SO WITH THE UNDERSTANDING THAT AVERAGES AND
9 PROPORTIONS CAN HIDE DETAILS OF THE DATA
10 INFORMATION. SO I WOULD REALLY HOPE IN MARCH THAT
11 YOU CAN PROVIDE US WITH THE ACTUAL DISTRIBUTION OF
12 PREFERENCE POINTS FOR THE FULL APPLICATION POOL AND
13 THOSE THAT WERE MOVED FORWARD FOR FUNDING.

14 I KNOW IT'S EXPEDIENT IN A SHORT MEMO TO
15 SUMMARIZE THOSE DATA, BUT I, FOR ONE, WOULD LIKE TO
16 SEE THE ACTUAL DATA OF EVERY SINGLE PROPOSAL, HOW
17 MANY POINTS IT RECEIVED, AND WHAT THE DISTRIBUTION
18 WAS FOR BOTH THE ONES THAT WERE PUT FORWARD AND THE
19 ONES THAT WERE NOT REVIEWED.

20 DR. CANET-AVILES: WE WILL BE HAPPY TO
21 PROVIDE THAT. FOR CLIN2 IT'S GOING TO BE EASY
22 BECAUSE THERE'S LIKE 44 TOTAL APPLICATIONS THAT CAME
23 IN. FOR PDEV IT WILL BE COMPLEX AND FOR DISC4, BUT
24 WE WILL FIGURE OUT A WAY TO DO IT. AND IF NOT, IT
25 WILL BE PRESENTED AND IT NEEDS TO BE ANONYMIZED, BUT

1 IT WILL BE PRESENTED IN THE FORM OF A MEMO. I WANT
2 TO MAKE SURE THAT THAT'S POSSIBLE AS WELL.

3 DR. BARRETT: I'M HAPPY TO TALK TO YOU
4 OFFLINE ABOUT WAYS THAT THE DATA MIGHT BE DEPICTED.
5 AND IT CERTAINLY CAN BE ANONYMIZED. I'M ONLY
6 LOOKING FOR THE DISTRIBUTION HERE.

7 DR. CANET-AVILES: THAT WOULD BE VERY
8 HELPFUL. THANK YOU SO MUCH, DR. BARRETT.

9 CHAIRMAN IMBASCIANI: THANKS, KIM AND
10 ROSA. KEITH.

11 DR. YAMAMOTO: THANKS, ROSA, FOR THE
12 PRESENTATION. AND THANK YOU FOR -- MORE
13 IMPORTANTLY, THANK YOU FOR ALL THE WORK THAT YOU
14 HAVE DONE IN FRAMING THIS IDEA OF FINDING WAYS TO
15 KIND OF PRE-ASSESS WHETHER APPLICATIONS QUALIFY
16 GIVEN THE FACT THAT WE'RE GOING TO BE FACED ACTUALLY
17 WITH AN INCREASING LOAD OF APPLICATIONS.

18 HAVING SAID ALL THAT, I'M ACTUALLY DEEPLY
19 CONCERNED ABOUT THIS PROGRAM. YOU MIGHT ASK WHY I
20 DIDN'T RAISE THIS EARLIER. PAT AND I AND MAYBE
21 OTHERS AROUND THIS TABLE WHO ARE ON THE SCIENCE
22 COMMITTEE HAVE HEARD THIS PRESENTED BEFORE, AND WE
23 HAPPILY PASSED IT ON TO BE PRESENTED AT THIS
24 MEETING.

25 BUT HAVING THOUGHT MORE ABOUT IT AND ALSO

1 READ AND THOUGHT ABOUT THE PUBLIC COMMENTS THAT WE
2 RECEIVED, I'M DEEPLY CONCERNED. AND MY CONCERNS
3 START WITH THREE OF THE SIX GUIDING PRINCIPLES. PAT
4 TALKED A BIT ABOUT THE GUIDING PRINCIPLES, BUT I
5 HAVE CONCERNS ABOUT THREE OF THEM.

6 ONE IS THE NEED TO OFFER THE POTENTIAL FOR
7 CLINICAL IMPACT. I THINK FOR FUNDAMENTAL BASIC
8 STUDIES THAT'S A HARD CALL. AND THE CONSEQUENCE OF
9 THAT, OF ENFORCING THAT, IS TAKING A RATHER
10 SHORT-TERM PERSPECTIVE ON THE WAYS THAT WE THINK
11 ABOUT AN APPLICATION. AND THAT WOULD NECESSARILY
12 LEAD TO MORE SUPPORT FOR MORE CONSERVATIVE WORK,
13 WORK THAT IS KIND OF HIGH ON THE FEASIBILITY SCORE,
14 BUT MAYBE NOT VERY DEEPLY CONSIDERING ITS POTENTIAL
15 IMPACT IF IT'S NOT APPARENT AT THE POINT OF
16 APPLICATION.

17 THE SECOND GUIDING PRINCIPLE I'M CONCERNED
18 ABOUT IS WHETHER THE WORK ADDRESSES BOTTLENECKS IN
19 ACCESS, AFFORDABILITY, AND FEASIBILITY. THESE THREE
20 ALSO REALLY DRIVE CONSERVATIVE THINKING AND
21 EVALUATION OR CHOOSING TO EVALUATE CONSERVATIVE
22 APPLICATIONS, THINGS WHERE IT IS IMMEDIATELY
23 APPARENT. AND HERE AFFORDABILITY IS -- ASSESSMENT
24 OF AFFORDABILITY IS A PARTICULARLY FLAWED CRITERION.
25 ALL NEW TECHNOLOGIES ARE NOT AFFORDABLE AT THE

1 OUTSET. EVERYBODY REMEMBERS, BUT I'LL JUST SAY IT,
2 THAT THE FIRST HUMAN GENOME SEQUENCE COST \$3
3 BILLION, AND THAT COST HAS COME DOWN 10
4 MILLION-FOLD. SO TRYING TO MAKE A GUESS ABOUT
5 AFFORDABILITY AT THE OUTSET IS FLAWED.

6 THIRD, ACHIEVING REGULATORY AND
7 DEVELOPMENTAL PATHWAY WITHIN CIRM'S FINITE RUNWAY.
8 THE PROBLEM I HAVE WITH THIS ONE IS THAT SCIENCE
9 CAN'T BE ACTUALLY SCALED OR ASSESSED OR EVALUATED ON
10 THE BASIS OF WHETHER IT FITS INTO THIS ARTIFICIAL
11 TIMELINE THAT WE ARE STUCK WITH IF YOU WANT TO PUT
12 IT THAT WAY. FIRST OF ALL, WE DON'T KNOW THAT WE'RE
13 STUCK WITH THAT, A TRUE END POINT. BUT MORE
14 IMPORTANTLY, THERE'S REALLY NOTHING WRONG WITH
15 GETTING WORK STARTED AND DOING SOMETHING SIGNIFICANT
16 WHEN YOU CAN AND THEN MAKING IT APPARENT TO THE REST
17 OF THE WORLD, THAT IS TO SAY OTHER FUNDERS, THAT
18 THERE'S VALUE IN BEING ABLE TO EXTEND THAT WORK. SO
19 IF IT'S NOT DONE INSIDE OF THE CIRM PATHWAY, BUT
20 CIRM GETTING SOMETHING STARTED THAT ENDS UP BEING
21 HIGHLY SIGNIFICANT, IT'S NOT A BAD THING.

22 SO I'M CONCERNED ABOUT HALF OF THE GUIDING
23 PRINCIPLES ON WHICH THIS IDEA IS FOUNDED.

24 SECONDLY, I THINK WE LEARNED SOMETHING,
25 LEARNED MORE THAN WHAT YOU PRESENTED, ROSA, IN THOSE

1 FIRST TWO CYCLES OF EXPERIMENTING WITH THIS PROGRAM.
2 AS PAT SAID, IT'S NO SURPRISE THAT THE APPLICATIONS
3 THAT MOVED FORWARD FOR REVIEW AND WERE ULTIMATELY
4 FUNDED FIT THE PATHWAY, THE PROGRAM, WELL BECAUSE OF
5 THE CIRCULARITY THAT PAT TALKED ABOUT. BUT WHAT
6 WASN'T CONSIDERED -- SO THE FACT THAT THE
7 APPLICATIONS THAT WENT FORWARD FOR REVIEW AND THEN
8 THOSE THAT WERE ULTIMATELY FUNDED SCORED WELL ON THE
9 PREFERENCE LIST THAT WAS CONSTRUCTED IS NOT VERY
10 SURPRISING.

11 WHAT WASN'T CONSIDERED, BUT I THINK WE
12 HEARD ABOUT IN SOME OF THE PUBLIC COMMENTS, MAYBE
13 ALL OF THEM, IS WERE THERE APPLICATIONS THAT WERE
14 HIGHLY MERITORIOUS THAT DID NOT SCORE WELL AND,
15 THEREFORE, WERE TRIAGED. THAT'S NOT SO GOOD IF THAT
16 HAPPENS. AND I THINK WHAT WE HEARD IN THE PUBLIC
17 COMMENTS IS THAT IT DID HAPPEN.

18 AND WE DON'T WANT TO BEGIN TO BUILD A
19 SYSTEM WHICH IS SORT OF RIGGED IN THE SENSE THAT
20 HIGHLY MERITORIOUS PROPOSALS DON'T EVEN GO FORWARD
21 TO REVIEW. AND I THINK THAT'S THE SITUATION THAT
22 WE'RE IN. SO CONSIDERING THAT DENOMINATOR, AS IT
23 WERE, NOT JUST THE ONES THAT WENT FORWARD AND
24 THEREFORE QUALIFIED VERY WELL, BUT ALSO ASKING
25 OURSELVES A VERY MUCH MORE DIFFICULT QUESTION OF

1 WHETHER THERE ARE APPLICATIONS THAT ARE HIGHLY
2 MERITORIOUS THAT ARE BEING ELIMINATED BECAUSE OF THE
3 WAY THAT THE SYSTEM IS SET UP. AND I THINK WE NEED
4 TO BE VERY CAREFUL AND THOUGHTFUL ABOUT THAT.

5 AND SO MY FINAL POINT IS THAT WE HEARD IN
6 THE PUBLIC COMMENTS THAT, AT LEAST IN THE VIEW OF
7 CERTAIN APPLICANTS, THERE WERE HIGHLY QUALIFIED
8 PROPOSALS THAT WERE PUT FORTH AND SUBJECTED TO THIS
9 EXPERIMENT -- I THINK IT'S FINE THAT WE DID THE
10 EXPERIMENT -- SUBJECTED TO THE EXPERIMENT THAT WERE
11 TRIAGED. AND I THINK THAT THOSE PEOPLE NEED OUR
12 ATTENTION AND THAT WE NEED TO GO BACK AND LOOK AT
13 THOSE AND CONSIDER THEM. AND IT'S NOT LIMITED TO
14 JUST TO THOSE THAT WE HEARD FROM. SO THERE'S GOING
15 TO BE A FAIR AMOUNT OF WORK IN DOING THAT, BUT I
16 THINK WE OWE THAT TO THE APPLICANTS. IT'S FINE TO
17 DO AN EXPERIMENT. IT'S IMPORTANT TO DO EXPERIMENTS.
18 WE'RE SCIENTISTS. BUT WE NEED TO BE ABLE TO LOOK AT
19 OUTCOMES IN WAYS THAT WE CAN ASSURE OURSELVES AND
20 THE APPLICANTS THAT WE ARE BEING AS EQUITABLE AND
21 JUST ABOUT MAKING THESE JUDGMENTS AS WE CAN.

22 CHAIRMAN IMBASCIANI: THANKS, KEITH, FOR
23 THOSE REMARKS.

24 DR. CANET-AVILES: DR. IMBASCIANI, COULD I
25 RESPOND OR MAKE SOME CLARIFICATIONS?

1 CHAIRMAN IMBASCIANI: OKAY. ROSA, SURE.

2 DR. CANET-AVILES: THANK YOU. THANK YOU,
3 DR. YAMAMOTO. AS ALWAYS, VERY EXCELLENT POINTS AND
4 ASSESSMENTS. I WOULD JUST LIKE TO TAKE THE
5 OPPORTUNITY TO MAKE A FEW CLARIFICATIONS IF I MAY.

6 SO WITH THE -- HOPEFULLY I TOOK GOOD
7 NOTES. THE FIRST POINT WAS ABOUT CLINICAL IMPACT IN
8 TERMS OF THE GUIDING PRINCIPLES THAT IS HARD FOR
9 FUNDAMENTAL, BASIC STUDIES. BUT THESE PROGRAMS WE
10 WERE TALKING ABOUT IN TERMS OF THE GUIDING
11 PRINCIPLES ARE NOT FUNDING FUNDAMENTAL BASIC
12 STUDIES. WE ARE TALKING ABOUT THE PRECLINICAL
13 DEVELOPMENT, THE CLINICAL DEVELOPMENT PROGRAMS. SO
14 WHEN WE SAY CLINICAL IMPACT, WE ARE NOT ASKING TO
15 PREDICT DOWNSTREAM VALUE OF DISCOVERY SCIENCE. WE
16 ARE ASKING ABOUT THE DEVELOPMENT STAGE OF PROPOSALS
17 THAT WE ARE EVALUATING. I JUST WANTED TO MAKE THAT
18 AS A CLARIFICATION.

19 THE SECOND POINT IN TERMS OF ACCESS AND
20 AFFORDABILITY, WE ARE NOT TALKING ABOUT PRICE
21 PREDICTION. IT'S ABOUT FEASIBILITY OF THE DELIVERY
22 AND THE SCALABILITY AND, AS DR. CHOU ALSO MENTIONED,
23 MANUFACTURABILITY AND AVOIDABLE BARRIERS, THE
24 DOWNSTREAM DELIVERABILITY OF THESE THERAPIES.
25 RIGHT?

1 THERE WAS SOMETHING ELSE ABOUT -- THERE
2 WAS A THIRD POINT WAS ABOUT -- THE ONE I WANTED
3 TO -- THERE WAS ONE POINT -- THERE'S A THIRD POINT
4 AND I CAN'T REMEMBER BECAUSE I DIDN'T TAKE NOTES. I
5 WAS TRYING TO THINK ABOUT THE RESPONSE. BUT THE
6 LAST ONE IS ABOUT APPLICATIONS THAT ARE DEEMED
7 HIGHLY MERITORIOUS. WELL, WITHOUT PEER REVIEW, WE
8 DON'T KNOW IF THEY ARE HIGHLY MERITORIOUS, RIGHT.
9 SO THE PREFERENCES ARE CLASSIFYING BASED ON
10 OBJECTIVE QUALIFICATION OR PREFERENCE CRITERIA THAT,
11 IF YOU CLICK IT, THEN YOU GET TO BE ABLE TO FIGURE
12 OUT IF THERE IS A HIGHLY MERITORIOUS.

13 NOW, ARE THERE GUARDRAILS? SO THAT'S ONE
14 OF THE QUESTIONS FOR THE BOARD. IS THERE AN
15 EXPLICIT PATHWAY FOR HIGH NOVELTY OR TRANSFORMATIVE
16 APPLICATIONS THAT HAVE NOT MET THE STANDARD
17 PREFERENCES? AND I KNOW I'M GETTING MYSELF INTO A
18 BIT OF POTENTIALLY TROUBLE HERE. ARE THERE
19 GUARDRAILS THAT THE BOARD WOULD LIKE US TO HAVE TO
20 FIGURE OUT IF THERE ARE SOME THAT DON'T MEET THE
21 PREFERENCES THAT COULD NEED TO GO TO REVIEW? WE
22 CANNOT MAKE EVERYBODY HAPPY. I THINK THAT'S ONE OF
23 THE PROBLEMS THAT WE'VE HAD. WE'VE NOT BEEN CLEAR
24 ABOUT WHAT WE COULD NOT BE FUNDING. AND I THINK AS
25 A BOARD OF 35 MEMBERS, THERE IS A DECISION THAT

1 NEEDS TO BE MADE BECAUSE WE CANNOT FUND IT ALL.

2 RIGHT?

3 SO I JUST WANTED TO MAKE THOSE POINTS.

4 BUT I REALLY APPRECIATE THE THINKING BECAUSE THAT'S
5 THE KIND OF DISCUSSION THAT WE SHOULD HAVE HAD.

6 THANK YOU.

7 CHAIRMAN IMBASCIANI: I HAVE A LIST OF
8 FIVE SPEAKERS, BUT, KEITH, I'M GOING TO OFFER YOU
9 THE OPPORTUNITY TO REBUT IF YOU WOULD LIKE.

10 DR. YAMAMOTO: WELL, SO LET ME KEEP THIS
11 BRIEF. THANKS, ROSA. I APPRECIATE THAT. AND I'M
12 NOT SAYING THAT EVERY APPLICANT WHO FEELS THAT THIS
13 TRIAGE SYSTEM HAS ELIMINATED THE POTENTIAL TO
14 COMPETE WITH -- EVERY APPLICANT CONSIDERS THEIR WORK
15 TO BE HIGHLY MERITORIOUS. SO I'M NOT SAYING THAT
16 THAT DENOMINATOR, AS I REFERRED TO IT, IS A FIRM
17 NUMBER, THAT EVERYBODY THAT WAS TRIAGED AND FEELS
18 THAT IT WASN'T FAIR SHOULD HAVE BEEN FUNDED.

19 BUT I WOULD ARGUE THAT MANY -- BASED ON
20 THE LETTERS THAT WE RECEIVED AND READING THEM FAIRLY
21 CAREFULLY, IT SEEMED APPARENT TO ME THAT WHAT THE
22 AUTHORS OF THE LETTERS WERE ARGUING IS THAT THE WAYS
23 THAT THE PREFERENCES WERE STRUCTURED AND MAYBE EVEN
24 THE POINT SYSTEMS ASSIGNED TO THE PREFERENCES WERE
25 STRUCTURED ELIMINATED THEIR WORK KIND OF IN A

1 PREEMPTIVE WAY THAT DIDN'T EVEN ALLOW THE EVALUATION
2 TO GO FORWARD.

3 AND I THINK THAT'S WHAT WE NEED TO BE
4 CAUTIOUS ABOUT. DON'T MISUNDERSTAND. I THINK
5 THAT'S COMPLICATED AND DIFFICULT TO DO, BUT I THINK
6 WE OWE IT TO APPLICANTS NOT TO CONSTRUCT A SYSTEM
7 THAT PREEMPTIVELY ELIMINATES THEM FOR REASONS THAT
8 AREN'T DIRECTLY ASSOCIATED WITH THE QUALITY OF THE
9 APPLICATION.

10 CHAIRMAN IMBASCIANI: THANK YOU, KEITH.
11 SO HERE'S WHAT I HAVE FOR A LIST: JUDY GASSON,
12 YSABEL, SHANNON DAHL, MARGUERITE CASILLAS, AND YAEL,
13 BUT I'M AFRAID WE LOST JUDY. WE DID. OKAY. SO,
14 YSABEL, YOU'RE NEXT.

15 MS. DURON: THANK YOU. ROSA, THANK YOU
16 VERY MUCH FOR THE REFRESHER COURSE. WE'VE BEEN
17 DISCUSSING THIS A LOT, AND I'M VERY PLEASED TO HEAR
18 AND LEARN MORE SO I CAN KEEP CHEWING ON THIS.

19 AS A PATIENT ADVOCATE FOR ONCOLOGY, A
20 CANCER SURVIVOR MYSELF, AND A CONCERNED VOICE, LOUD
21 VOICE, FOR THE LATINO COMMUNITY WHERE CANCER IS THE
22 SECOND LEADING CAUSE OF DEATH, I ALWAYS WANT TO
23 SUPPORT NEW, EMERGING, AND ADVANCED CANCER RESEARCH
24 WITH THE HOPES THAT IT'S ULTIMATELY GOING TO REACH
25 AND SERVE MY UNDERSERVED COMMUNITY.

1 AS 40 PERCENT OF THE CALIFORNIA
2 POPULATION, MAJOR CONTRIBUTORS TO THE ECONOMIC
3 BACKBONE OF THIS STATE, AND TAXPAYERS TO BOOT, THE
4 LATINO COMMUNITY IS AS ANXIOUS AS ANYONE TO BE
5 HEALTHY AND HAVE THEIR CHILDREN SURVIVE.

6 SO I'M VERY EMPATHETIC TO THE LETTERS THAT
7 POURED IN FROM OUR CANCER RESEARCHERS. THANKS,
8 KEITH, FOR YOUR WRAP-UP. I APPRECIATED THAT AS
9 WELL. HAVE WE, CIRM, BEEN FAIR IN FUNDING ACROSS
10 DISEASES AS WE TRY TO STRUGGLE TO MEET OUR GOALS AND
11 USE TAXPAYER FUNDS WISELY? I CAN'T HELP BUT THINK
12 AND REMEMBER AND EVEN CRY WITH AND FOR THE NUMBERS
13 OF PARENTS WHO HAVE STOOD BEFORE US IN THESE PRIOR
14 PUBLIC HEARINGS AND SHARED PRIVATE STRUGGLES AND
15 FEAR AND HOPES IN RESEARCH MOST OFTEN FOR THEIR
16 CHILDREN SUFFERING FROM THESE RARE DISEASES. HOW
17 OFTEN? I WANTED TO FUND THEM ALL, TO GIVE THEM
18 HOPE, TO LET THEM KNOW WE HEAR THEIR PAIN. AND YET,
19 AFTER LISTENING TO THE RATIONALE FROM MY MOST
20 LEARNED SCIENTIFIC COLLEAGUES, HAVE CHOSEN TO SAY
21 NO.

22 FOR THOSE RESEARCHERS WHO WROTE US, WE DID
23 NOT CHOOSE NOT TO HONOR AND RESPECT YOUR WORK. IT'S
24 VERY CRITICAL. FOR ANY REASON WE VOTED, I THINK,
25 OUR BEST INTENTIONS, OUR CONCERNS, KNOWLEDGE, AND,

BETH C. DRAIN, CA CSR NO. 7152

1 OF COURSE, I FROM GUIDANCE FROM EXPERTS IN THE
2 FIELD. SO I THANK ROSA ONCE AGAIN FOR HER REFRESHER
3 COURSE. BUT REST ASSURED, AT LEAST FROM MY
4 PERSPECTIVE, NOTHING IS SET IN STONE. AND
5 CONSISTENTLY REVIEWING OURSELVES AND HOW WE
6 DETERMINE DECISIONS COMES BACK TO US TIME AND TIME
7 AGAIN. AND I KNOW THAT THE PEOPLE I SIT WITH HERE
8 TODAY ARE ALWAYS WELL INTENTIONED WITH THE BEST FOR
9 COMMUNITY HEALTH, BUT ALSO FOR GREAT SCIENCE.

10 SO KNOW THAT WE HAVE HEARD THE CONCERNS
11 FROM THE ONCOLOGY COMMUNITY. AND ONCE AGAIN, WE
12 WILL LOOK INWARD TO SEE IF AND HOW WE CHANGE IF IT'S
13 ONCE AGAIN REQUIRED. SO THANK YOU VERY MUCH FOR
14 SENDING IN ALL OF YOUR LETTERS, FOR YOUR CONCERNS,
15 FOR YOUR ENGAGEMENT. AND MAY I ASK YOU TO USE THAT
16 FORCE AND THAT CONCERN IN, I THINK, ENGAGING ALL
17 MEMBERS OF OUR COMMUNITIES IN CALIFORNIA SO THAT WE
18 CAN SEE EQUITY AND DIVERSITY IN CARE AND TREATMENT
19 AND RESEARCH. THANK YOU.

20 CHAIRMAN IMBASCIANI: THANK YOU, YSABEL.
21 SHANNON.

22 DR. DAHL: THANK YOU, ROSA, FOR A GREAT
23 SUMMARY AND FOR ALSO SETTING THE STAGE OF GUIDING US
24 TO ASK FOR WHAT WE SHOULD BE THINKING ABOUT FOR THE
25 DISCUSSION IN MARCH SO WE CAN ALL CONTINUE TO

1 PERCOLATE ON THE TOPIC.

2 ONE OF THE THINGS THAT STUCK OUT TO ME
3 ABOUT THE PORTFOLIO, WE'VE HEARD ABOUT DIFFERENT
4 DISEASES, AND I'M GOING TO SET THAT ASIDE FOR NOW,
5 AND INSTEAD THINK ABOUT DIFFERENT STAGES OF
6 DEVELOPMENT. EARLIER STAGE, THERE'S OFTEN MORE
7 INNOVATION IN MODALITY. AND WHEN WE GET TO A LATER
8 STAGE, IT BECOMES MORE ABOUT FEASIBILITY. CAN WE
9 GET TO COMMERCIALIZATION? ARE WE GOING TO REALLY
10 SERVE THE PATIENTS OF CALIFORNIA BY DELIVERING
11 SOMETHING OVER THE FINISH LINE?

12 AND SO AS WE THINK ABOUT PREFERENCES, I
13 WONDER IF IT MIGHT BE HELPFUL TO CONSIDER DIFFERENT
14 PREFERENCES AT DIFFERENT STAGES. AND I KNOW THEY
15 ARE SLIGHTLY DIFFERENT, BUT EVEN PERHAPS SEPARATING
16 THE PDEV AND THE CLINICAL MORE SO TO ALIGN WITH
17 DIFFERENT PRINCIPLES THAT RESONATE FOR DIFFERENT
18 STAGES OF DEVELOPMENT.

19 AND THEN WITH RESPECT TO INFORMATION THAT
20 MIGHT HELP US CONSIDER HOW THAT WOULD PAN OUT, I WAS
21 STRUCK ESPECIALLY IN THE CLINICAL GROUP IN OUR LAST
22 MEETING THAT WE DID END UP WITH ALMOST TOO FEW
23 APPLICATIONS. AND SO WE DID HAVE A FUNDING LEVEL OF
24 ONE THAT WAS BELOW THE TYPICAL FUNDING LINE. AND SO
25 LOOKING AT HOW THOSE PREFERENCES ARE SET UP AT THAT

1 STAGE TO ENSURE THAT WE'RE THINKING ABOUT MAYBE MORE
2 ABOUT CLINICAL IMPACT THERE AND WHAT'S FEASIBLE TO
3 GET ALL THE WAY TO THE FUNDING LINE. JUST
4 CONSIDERING DIFFERENT STAGES FOR DIFFERENT
5 PREFERENCES. THANK YOU.

6 CHAIRMAN IMBASCIANI: THANK YOU, SHANNON.
7 MARGUERITE CASILLAS.

8 MS. CASILLAS: WELL, I'M REALLY HEARTENED
9 BY THE CONVERSATION HERE TODAY. AND AGAIN WANT TO
10 THANK YOU, ROSA, FOR YOUR PRESENTATION. IT'S GREAT
11 TO HEAR THAT PEOPLE ARE REALLY THINKING CAREFULLY
12 ABOUT THIS SYSTEM THAT WAS POSSIBLY WITH GOOD
13 INTENTION, BUT CONSTRUCTED IN A WAY THAT IS NOW
14 ELIMINATING SOME GOOD SCIENCE. I'M REALLY THINKING
15 ABOUT THE COMMS TEAM, AND ONE OF THE KEY AUDIENCES
16 BEING SCIENTISTS AND INDUSTRY AND US WANTING TO FEEL
17 THAT THEY'RE CONFIDENT IN CIRM PROGRAMS AND PROUD OF
18 CALIFORNIA AND SUPPORTED BY CIRM. SO I THINK WE SAW
19 IN THOSE LETTERS THAT THERE ARE DEFINITELY SOME
20 QUESTIONS THERE.

21 I DON'T KNOW HOW THE EARLIER PREFERENCES
22 MIGHT HAVE BEEN COMMUNICATED TO THOSE FOLKS. SOUNDS
23 LIKE THERE'S WEBINARS AND OTHER THINGS. BUT I THINK
24 IT'S A POINT, IF WE MAKE CHANGES OR REFINEMENTS,
25 THAT WE REALLY NEED TO BE TRANSPARENT AND PROBABLY

1 OVERCOMMUNICATE WHAT'S HAPPENING THERE. THAT'S MY
2 COMMENT.

3 CHAIRMAN IMBASCIANI: THANK YOU,
4 MARGUERITE. YAEL WYTE.

5 MS. WYTE: I'M DEBATING. ANYWAY --

6 CHAIRMAN IMBASCIANI: SO ARE WE ALL.

7 MS. WYTE: I ALSO WANT TO THANK ROSA FOR
8 HER PRESENTATION. AND WHILE I THINK OBVIOUSLY WE
9 WANT TO HAVE MORE MERITORIOUS APPLICANTS AND WE WANT
10 TO BE TRANSPARENT WITH OUR DECISIONS AND WE WANT TO
11 HAVE GREAT COMMUNICATION AND WE CAN'T FUND IT ALL, I
12 FEEL LIKE YOU ALSO CAN'T BE SURPRISED WHEN YOU'RE
13 APPLYING FOR AN APPLICATION, FOR A GRANT, TO BE
14 FUNDED AND YOU DON'T QUALIFY WITH ALL THE
15 PREDETERMINED EXPECTATIONS OF WHAT WE'RE LOOKING
16 FOR. SO, AGAIN, THERE'S ALWAYS ROOM FOR
17 REEVALUATION ON OUR PART OF DO WE NEED TO BE MORE
18 INCLUSIVE? HAVE WE OVERLOOKED SOMETHING? HAVE WE
19 NOT? BUT IF THE GUIDELINES ARE STATED ACCURATELY, I
20 DON'T KNOW, I FEEL YOU CAN'T BE DISAPPOINTED --
21 WELL, YOU CAN BE -- YOU CAN ALWAYS BE DISAPPOINTED,
22 BUT IT SHOULDN'T BE, I GUESS, A BIG SURPRISE. AND
23 MAYBE YOU NEED TO REEVALUATE THE SCOPE OF YOUR
24 RESEARCH. IT'S A TWO-WAY STREET.

25 CHAIRMAN IMBASCIANI: I'M SORRY. WE'RE

1 HAVING A LITTLE LOGISTICAL DISCUSSION HERE THAT
2 INVOLVES LUNCH. AND WE HAVE SPEAKERS THAT HAVE HARD
3 STOPS THAT MUST GET BACK TO THEIR RESPECTIVE
4 INSTITUTIONS AT A CERTAIN TIME. DID WE SOLVE THAT?
5 OKAY. I'M REALLY SORRY FOR THAT INTERRUPTION, YAEL.
6 THANK YOU FOR YOUR REMARKS. FOR YOUR REMARKS, I'M
7 GOING TO HAVE TO GO BACK ON THE TRANSCRIPT, BUT
8 THANK YOU.

9 I DON'T SEE ANY OTHER HANDS. I DO, YES.
10 DR. MIASKOWSKI: I WANT GET BACK TO ROSA'S
11 REQUEST, THANKING HER FOR HER PRESENTATION. AND I
12 WANT TO AGREE WITH KIM BARRETT. I THINK IF WE'RE
13 GOING TO APPLY PREFERENCES, WE HAVE TO DO IT AT THE
14 BEGINNING OF THE PROCESS. OTHERWISE, WE DON'T DEAL
15 WITH THE HUGE NUMBER OF GRANTS THAT WE RECEIVE.

16 I, LIKE KIM, LIKE DATA. AND I AGREED WITH
17 HER SUGGESTION, THAT WE NEED TO SEE, NOT ONLY THE
18 APPLICATION OF THE PREFERENCES TO THE GRANTS THAT
19 GOT CHOSE, BUT I WANT TO SEE THE PREFERENCE
20 APPLICATIONS TO THE ONES THAT DIDN'T MOVE FORWARD.
21 AND THAT MAY ADDRESS SOME OF KEITH'S COMMENTS.

22 THE OTHER THING, I'M GOING TO OFFER A
23 SUGGESTION TO MARIA. I JUST WAS ON THE LAST GRANTS
24 WORKING GROUP FOR CLIN. AND WHAT OCCURRED TO ME IS
25 KEITH WAS TALKING ABOUT ACCESS AND AFFORDABILITY. I

1 WAS ASTOUNDED BY THE APPLICATIONS AND HOW THEY
2 RESPONDED TO OUR CRITERIA AROUND ACCESS AND
3 AFFORDABILITY FOR THE CLINICAL PROJECTS BECAUSE
4 THESE PROJECTS HAD RECEIVED FDA APPROVAL TO MOVE
5 FORWARD LARGELY TO A PHASE 1. AND I THINK THE BOARD
6 WOULD BENEFIT FROM GETTING SOME EXEMPLARS. YOU AND
7 I TALKED ABOUT ONE THAT WAS ABSOLUTELY OUTSTANDING
8 IN TERMS, WITHOUT DIVULGING ANY CONFIDENTIAL
9 INFORMATION, IN TERMS OF HOW THEY ENGAGED WITH A
10 COMPANY THAT KNOWS HOW TO DO AN ACCESS AND
11 AFFORDABILITY EVALUATION FOR A PRODUCT THAT'S
12 POTENTIALLY, IF IT'S SUCCESSFUL, WILL MOVE TO THE
13 CLINICAL AREA, AND THE PRODUCT WAS NOT CHEAP. AND
14 THE EVALUATION FROM THE COMPANY, THE INSURANCE
15 COMPANIES, THAT WERE LOOKING AT THESE WAS REALLY,
16 REALLY INTERESTING.

17 I'LL STOP THERE BECAUSE I DON'T KNOW
18 WHAT'S GOING TO HAPPEN WITH THAT APPLICATION, BUT I
19 DO THINK BOARD IT WOULD BE, IF THE BOARD AGREES,
20 INFORMATIVE TO KIND OF SEE OUR RUBRIC AND HOW SOME
21 EXAMPLES ARE BEING PRODUCED. AND SOME DIDN'T DO
22 ANYTHING AND OTHERS DID EXCEPTIONAL JOB. OTHERS
23 THAT WERE ON THE GRANTS REVIEW RECENTLY MAYBE WANT
24 TO COMMENT ON THAT. SO THOSE ARE MY POINTS. I
25 WOULD SUPPORT, IN TERMS OF ADDITIONAL EVALUATIONS,

1 WHAT KIM SAID AND THEN PERHAPS AT ANOTHER MEETING
2 WHERE THERE'S TIME TO TALK ABOUT OUR CRITERIA AND
3 HOW WE'RE SEEING RESPONSES.

4 VICE CHAIR BONNEVILLE: I'LL DEFINITELY
5 WORK WITH THE INTERNAL TEAM TO SEE WHAT SORT OF
6 INFORMATION WE CAN BRING BACK AT A HIGH LEVEL THAT
7 ILLUSTRATES WHAT YOU ARE REFERRING TO. SO THANK
8 YOU.

9 CHAIRMAN IMBASCIANI: THANK YOU, CHRIS.
10 NO OTHER HANDS. SO WE CAN INVITE MEMBERS OF THE --
11 DO YOU WANT TO SAY SOMETHING ABOUT OUR TIME
12 SCHEDULE?

13 MR. TOCHER: YES. THANK YOU, VITO. WE
14 HAVE IMPORTANT PUBLIC COMMENT THAT WE WANT TO GET TO
15 ON THIS ITEM AS HAS BEEN ALREADY REFLECTED BY
16 MEMBERS OF THE BOARD. WE ARE RUNNING UP AGAINST
17 SOME CONFLICTING SCHEDULES. OUR TWO PRESENTERS FOR
18 CLOSER TO CURES HAVE HARD STOPS AT 12:30 AND 1:15.
19 YOU ALSO HAVE LUNCH THAT IS CONSTRAINED BETWEEN 12
20 AND 1:30. THIS IS NOT AN ACTION ITEM. MY PROPOSAL
21 IS THAT, ONCE BOARD COMMENT HERE FOR THIS FIRST
22 ROUND IS COMPLETE, THAT WE TABLE THE ITEM, GO TO THE
23 CLOSER TO CURES PRESENTATIONS TO YOU, TAKE LUNCH,
24 BRING IT BACK, IF NECESSARY, TO THE DAIS. AND THEN,
25 ONCE THAT IS COMPLETED, COME BACK TO THIS ITEM TO

BETH C. DRAIN, CA CSR NO. 7152

1 HEAR PUBLIC COMMENT AND CONTINUE ANY FURTHER BOARD
2 DISCUSSION IF THAT SOUNDS AMENABLE TO FOLKS.

3 CHAIRMAN IMBASCIANI: ANY OBJECTION TO
4 THAT? THANK YOU. IF THERE WAS, I WOULDN'T KNOW HOW
5 TO HANDLE IT.

6 MR. TOCHER: ALL RIGHT. FOR MEMBERS OF
7 THE PUBLIC, PLEASE PLAN TO PARTICIPATE VIA THE PHONE
8 NUMBERS THAT ARE INDICATED ON THE AGENDA AS I SAID
9 EARLIER. IS THERE ANY MORE BOARD COMMENT AT THIS
10 TIME? PLEASE FEEL FREE TO CONTINUE WITH THIS BEFORE
11 WE HIT PAUSE. ALL RIGHT.

12 SO THEN WITH THAT, I WOULD PROPOSE THAT WE
13 MOVE TO THE FIRST PRESENTATION AND AMY ADAMS.

14 CHAIRMAN IMBASCIANI: I'M SORRY. I'M
15 GOING TO INTRODUCE OUR SENIOR DIRECTOR FOR
16 COMMUNICATIONS, AMY ADAMS, WHO'S GOING TO MAKE SOME
17 INTRODUCTIONS TO TWO SPEAKERS FOR THIS NOVEL ENTRY
18 IN OUR AGENDA. AMY.

19 MS. ADAMS: THANK YOU, EVERYONE. MY
20 APOLOGIES FOR INTERRUPTING THIS REALLY FANTASTIC
21 CONVERSATION. WE DO HAVE TERRIFIC SPEAKERS WITH A
22 HARD STOP. SO CONSIDER THIS US GIVING YOU TIME TO
23 THINK ABOUT EVERYTHING THAT'S HAPPENED AND RUMINATE.

24 OKAY. I'D LIKE TO INTRODUCE A NEW
25 STANDING AGENDA ITEM OF IN-PERSON BOARD MEETINGS.

1 AT EACH MEETING WE WILL INVITE CIRM AWARDEES TO
2 SPEAK IN THEIR OWN WORDS ABOUT HOW CIRM SUPPORT IS
3 MAKING A DIFFERENCE IN THEIR WORK TO BRING
4 CALIFORNIANS CLOSER TO CURES.

5 TODAY WE'LL HEAR FROM TWO SPEAKERS. AND
6 I'M GOING TO INTRODUCE THEM BOTH NOW. MY NOTES SAY
7 IT'S SO I DON'T INTERRUPT THE FLOW, BUT I THINK IT'S
8 SO THAT I DON'T INTERRUPT YOUR LUNCH.

9 FIRST WE'RE GOING TO HEAR FROM CORY
10 NICHOLAS. HE'S THE CEO AND CO-FOUNDER OF NEURONA
11 THERAPEUTICS. HE WILL PRESENT RESULTS OF TWO
12 CLINICAL TRIALS TESTING STEM CELL-BASED THERAPIES
13 FOR EPILEPSY. HIS STORY SHOWS THE POWER OF CIRM
14 FUNDING OVER MANY YEARS WITH CIRM SUPPORT FOR THIS
15 PROJECT STARTING AT THE BENCH AND CONTINUING TO THE
16 RESULTS THAT HE'LL SHOW YOU TODAY.

17 NEXT, AFTER LUNCH, WE'LL HEAR FROM CRYSTAL
18 MACKALL, WHO'S A PROFESSOR OF BOTH PEDIATRICS AND
19 MEDICINE AT STANFORD SCHOOL OF MEDICINE. SHE'S ALSO
20 THE FOUNDING DIRECTOR OF THE STANFORD MEDICINE
21 CENTER FOR CANCER CELL THERAPY AND THE DIRECTOR OF
22 THE PARKER INSTITUTE FOR CANCER IMMUNOTHERAPY AT
23 STANFORD.

24 SHE'LL DISCUSS RESULTS OF A CLINICAL TRIAL
25 TESTING A NEW APPROACH FOR TREATING A DEADLY FORM OF

1 BRAIN CANCER THAT INVOLVES MODIFYING A PATIENT'S OWN
2 IMMUNE STEM CELLS SO THEY'LL IDENTIFY AND DESTROY
3 CANCER CELLS. IT'S AN APPROACH KNOWN AS CAR-T. SO
4 WITH THAT, I'M GOING TO INVITE COREY UP.

5 (THE CLOSER TO CURES PRESENTATIONS WERE
6 THEN HEARD, NOT REPORTED NOR HEREIN TRANSCRIBED. AT
7 THE CONCLUSION OF THE CLOSER TO CURES PRESENTATIONS,
8 THE FOLLOWING WAS THEN HEARD.)

9 CHAIRMAN IMBASCIANI: OKAY. WE'RE GOING
10 TO MOVE ON. THERE'S NOT GOING TO BE A CHAIR'S
11 REPORT. THE BOARD MET ONLY A MONTH AGO. I WAS JUST
12 GOING TO PASS OVER THIS AGENDA. GIVE ME A SECOND,
13 SCOTT. BECAUSE IT'S ONLY BEEN A MONTH SINCE THE
14 LAST BOARD MEETING, THE TIME OF HOLIDAYS WHEN NOT
15 MUCH HAPPENED, THERE WAS THE NUCLEUS FORUM, BUT OUR
16 PRESIDENT AND CEO IS GOING TO REPORT ON THAT. SO
17 WE'LL SKIP OVER THE CHAIR'S REPORT. NOW SCOTT.

18 MR. TOCHER: SURE. WE'RE NOW GOING TO
19 ACTUALLY RETURN BACK TO ITEM 6, THE DISCUSSION OF
20 THE PROCESS FOR PREFERENCE SETTING TO RESUME IN A
21 CONTINUED BOARD DISCUSSION AND THEN TAKE PUBLIC
22 COMMENT AS WELL.

23 SO I KNOW THAT WE STILL HAVE ROSA ON THE
24 LINE. WE HAVE J.T. HERE AT THE MIC READY AS WELL.
25 SO I GUESS THE FIRST WOULD BE TO -- IF THE BOARD HAS

1 ANY --

2 CHAIRMAN IMBASCIANI: ANY RESIDUAL OR DE
3 NOVO QUESTIONS ARISE FROM ANY OF THE BOARD MEMBERS
4 RELATED TO ROSA'S PRESENTATION ON PREFERENCES?

5 OKAY. SO SEEING NONE, YOU CAN ASK IF THERE'S ANY,
6 LANA, RIGHT, IF THERE'S ANYONE IN THE PUBLIC.

7 MS. MORALEZ: ALL RIGHT. WE WILL NOW OPEN
8 THE FLOOR TO PUBLIC COMMENT. SO YOU'RE JOINING US
9 IN PERSON, PLEASE APPROACH THE PODIUM, STATE YOUR
10 NAME FOR THE RECORD WHEN READY, AND KEEP YOUR
11 REMARKS WITHIN TWO MINUTES. FOR THE PUBLIC
12 PARTICIPANTS ON THE PHONE, PRESS STAR NINE TO RAISE
13 YOUR HAND. WHEN CALLED ON, PRESS STAR SIX TO UNMUTE
14 YOURSELF. PLEASE STATE YOUR NAME FOR THE RECORD AND
15 KEEP YOUR COMMENT WITHIN TWO MINUTES AS WELL.

16 IS THERE ANYBODY IN THE PUBLIC HERE WITH
17 US TO MAKE A COMMENT? ALL RIGHT. WE'RE GOING TO GO
18 TO THE PHONE. THE PHONE NUMBER THAT ENDS IN 6596,
19 WOULD YOU PLEASE UNMUTE YOURSELF. YOU HAVE TWO
20 MINUTES.

21 DR. CHEN: GOOD AFTERNOON. MY NAME IS
22 YVONNE CHEN. I AM A PROFESSOR OF IMMUNOLOGY AT
23 UCLA. I'D LIKE TO THANK YOU FOR THIS OPPORTUNITY TO
24 SPEAK.

25 I'M VERY GRATEFUL THAT THE BOARD HAS

1 ALREADY READ THE PUBLIC COMMENTS THAT WERE SUBMITTED
2 PRIOR TO THE MEETING. SO I WILL NOT REPEAT THOSE
3 POINTS HERE.

4 I JUST WANT TO underscore THAT THE MAIN
5 ISSUE REALLY IS NOT JUST A LACK OF CLARITY IN
6 COMMUNICATION, BUT THE ACTUAL CONSEQUENCES OF THE
7 PDEV AND CLIN2 PREFERENCE SYSTEM. THE LIST OF
8 FUNDED GRANTS SHOW A COMPLETE ABSENCE OF WELL-PROVEN
9 MODALITIES, SUCH AS CAR-T CELL THERAPIES, WHICH
10 POTENTIAL DR. MACKALL JUST BEAUTIFULLY ILLUSTRATED
11 FOR US. THE LIST OF FUNDED GRANTS ALSO SHOWS A
12 COMPLETE ABSENCE OF THERAPIES TARGETING CANCER
13 ALTOGETHER. I THINK THIS CLEARLY DEMONSTRATES THE
14 EXCLUSIONARY NATURE OF THE SYSTEM.

15 AS AN EXAMPLE, I SUBMITTED A PDEV OF MY
16 PATIENT FOR A CAR-T CELL THERAPY FOR GLIOBLASTOMA.
17 THAT'S A CNS DISEASE THAT'S CURRENTLY INCURABLE. BY
18 ROSA'S RUBRIC, THIS GRANT SHOULD HAVE RECEIVED A
19 SCORE OF 4 BECAUSE IT ALSO COMPLETED A PRE-IND
20 MEETING, AND YET IT HAS BEEN TRIAGED TWICE IN THE
21 PDEV SYSTEM WITHOUT REVIEW.

22 I THINK IT'S IMPORTANT TO NOTE THAT, EVEN
23 THOUGH THE PREFERENCE SYSTEM IS NOT DESIGNED TO
24 EXCLUDE, THE EFFECTIVE CONSEQUENCE IS THAT IT NOW
25 EXCLUDES POTENTIALLY LIFESAVING MODALITIES FOR HIGH

1 UNMET MEDICAL NEED FROM HAVING A CHANCE TO REACH
2 PATIENTS.

3 I THANK YOU VERY MUCH FOR THIS OPPORTUNITY
4 TO SHARE MY OPINION. AND I THANK YOU AGAIN YOUR
5 TIME IN GUIDING CIRM'S TRAJECTORY.

6 CHAIRMAN IMBASCIANI: THANK YOU, DR. CHEN.

7 MS. MORALEZ: THANK YOU VERY MUCH. ALL
8 RIGHT. THE PHONE NUMBER THAT ENDS IN 2019, WOULD
9 YOU PLEASE UNMUTE YOURSELF.

10 DR. NOWICKI: GOOD AFTERNOON. MY NAME IS
11 DR. THEODORE NOWICKI. I'M A CALIFORNIA RESIDENT AND
12 A PEDIATRIC ONCOLOGIST AT UCLA, AND I'M ALSO A
13 PHYSICIAN SCIENTIST WHO TREATS CHILDREN AND YOUNG
14 ADULTS WITH AGGRESSIVE SARCOMAS USING CELL AND GENE
15 THERAPIES, MANY OF WHICH HAVE BEEN MADE POSSIBLE
16 THROUGH CIRM SUPPORT. I THANK EVERYONE FOR THE
17 OPPORTUNITY TO SPEAK TODAY.

18 I'D JUST LIKE TO REITERATE A POINT THAT I
19 MADE IN MY SUBMITTED COMMENTS WHICH IS THAT WITH THE
20 PATIENT'S THAT I CARE FOR, I RECENTLY TREATED A
21 TEENAGER WITH A RELAPSE SARCOMA WHOSE FAMILY SIMPLY
22 ASKED THE QUESTION: IS THERE ANYTHING LEFT FOR
23 TREATMENT? AND THE ONLY HONEST ANSWER THAT WE COULD
24 GIVE WAS THE TYPES OF CELL THERAPIES THAT HAVE BEEN
25 MADE POSSIBLE BY YEARS OF CIRM-FUNDED ACADEMIC WORK.

1 AND I JUST WANTED TO REITERATE THAT POINT
2 THAT MY COLLEAGUE DR. CHEN MADE, WHICH IS THAT UNDER
3 THE CURRENT SCORING GUIDELINES, IT BECOMES HIGHLY
4 MATHEMATICALLY UNFEASIBLE TO HAVE ANY CELL THERAPY
5 FOR CANCER FUNDED UNDER THE CURRENT SCORING RUBRIC.

6 AND I JUST WANTED TO OFFER THREE
7 OBSERVATIONS REGARDING THE CURRENT RUBRIC. FIRST,
8 THE CURRENT PREFERENCE-BASED SELECTION APPEARS, AS
9 WAS MENTIONED BEFORE, INTENTIONALLY OR OTHERWISE, TO
10 EXCLUDE THESE KINDS OF PROVEN TRACK RECORD CELL AND
11 GENE THERAPIES. AND I KNOW THE POINT WAS MADE ABOUT
12 PATIENT OUTCOMES, NEITHER TREATMENTS THAT HAVE BEEN
13 REACHING PATIENTS AND CONTINUE TO REACH PATIENTS FOR
14 ALL OF THOSE OF US THAT HAVE BEEN INVOLVED IN THIS.

15 SECOND OF ALL, THE PRACTICAL REALITY THAT
16 DESERVES CONSIDERATION IS THAT ACADEMIC CELL THERAPY
17 PROGRAMS THAT HAVE BEEN MEETING MILESTONES OFTEN
18 DON'T REALLY HAVE ANY Viable ALTERNATIVE FUNDING
19 PATHWAYS OUTSIDE OF CIRM TO ADVANCE THESE INTO
20 CLINICAL PRACTICE WITH OUR PATIENTS. AND THIS IS
21 ESPECIALLY POIGNANT IN THE CURRENT NATIONAL FUNDING
22 ENVIRONMENT WHICH HAS BECOME MUCH MORE CONSTRAINED
23 AND FRAUGHT WITH LACK OF STABILITY.

24 AND FINALLY, THE OTHER ISSUE THAT WAS IN
25 THE CLIN2, THERE HAVE BEEN -- A LOT OF THE WEIGHTING

1 HAS BEEN SHIFTED THE FUNDING TOWARDS PRIVATE
2 ENTITIES RATHER THAN --

3 MS. MORALEZ: THANK YOU SO MUCH. YOUR
4 TIME IS UP. AND THEN PHONE NUMBER 6063, IF YOU
5 WOULD UNMUTE YOURSELF, YOU HAVE TWO MINUTES. 6063,
6 IF YOU WOULD PRESS STAR 6.

7 DR. RIBAS: YEAH. CAN YOU HEAR ME?

8 MS. MORALEZ: I CAN HEAR YOU.

9 DR. RIBAS: OKAY. THANK YOU. MY NAME IS
10 ANTONI RIBAS. I'M A PROFESSOR OF MEDICINE AT UCLA
11 ALSO. AND I WANT TO THANK THE CIRM BOARD FOR THE
12 OPPORTUNITY TO TALK ON THE CIRM LEADERSHIP AND DR.
13 CANET-AVILES FOR THE DISCUSSION TODAY.

14 I SENT WRITTEN REPORT, WRITTEN SCRIPT, BUT
15 IT'S ABOUT THE CLIN2 THAT WE HAVE SUBMITTED TWICE
16 WITH 82 PAGES. WE KNEW ABOUT THE PRIORITIES, BUT IT
17 CALLED FOR FULL APPLICATIONS AND IT'S NOT BEEN
18 REVIEWED SCIENTIFICALLY TWICE.

19 IT'S FOR A GEL TO REGENERATE THE SKIN STEM
20 CELLS FOR WOUND HEALING, WHICH HAS AN INCIDENCE OF 1
21 PERCENT OF CALIFORNIANS, MORE COMMON IN MARGINALIZED
22 POPULATIONS, BUT HAS LIMITED INTEREST OF COMMERCIAL
23 DEVELOPMENT. THERE'S NO EXISTING DRUG THERAPY FOR
24 THIS CONDITION. WE DESIGNED A CLINICAL TRIAL THAT
25 WOULD GO FROM PHASE 1 TO PHASE 2 RANDOMIZED TO MOVE

1 THE THERAPY FORWARD AND BRING IT TO CALIFORNIA AS
2 SOON AS POSSIBLE.

3 WE ALSO ADDRESSED THE AFFORDABILITY AND
4 COMMERCIALIZATION REQUEST. WE WORK WITH COLLEAGUES
5 AT THE UCLA ANDERSON SCHOOL OF MANAGEMENT FOR THIS.
6 NONE OF THIS WAS SCIENTIFICALLY REVEALED BY CIRM.
7 IF THE GOAL OF CIRM IS TO DEVELOP REGENERATIVE
8 MEDICINES FOR DISEASES THAT AFFECT CALIFORNIANS, WHY
9 DID THE GRANT LIKE OURS ONLY CHECK TWO PREFERENCES,
10 ONE BEING IN CALIFORNIA AND TWO HAVING A PRIOR CIRM
11 GRANT? THANK YOU.

12 MS. MORALEZ: OKAY. THANK YOU SO VERY
13 MUCH FOR YOUR COMMENT. ALL RIGHT. THE PHONE NUMBER
14 6583, IF YOU WOULD PLEASE UNMUTE YOURSELF.

15 DR. BROWN: GOOD AFTERNOON. MY NAME IS
16 CHRISTINE BROWN. I'M A PROFESSOR AT CITY OF HOPE,
17 AND I LEAD A TRANSLATIONAL RESEARCH PROGRAM FOCUSED
18 ON CLINICALLY ADVANCING CAR-T CELL THERAPIES FOR
19 INCURABLE BRAIN TUMORS. AND I THINK IT'S VERY
20 TIMELY AFTER DR. MACKALL'S PRESENTATION TODAY.

21 I WANTED TO MAKE AND SHARE SORT OF THREE
22 POINTS BASED ON RECENT EXPERIENCES. FIRST, I'D LIKE
23 TO COMMENT ON THE NEW PRESUBMISSION REVIEW PROCESS.
24 I RECENTLY SUBMITTED A DISC4 PREAPPLICATION THAT
25 STRONGLY ALIGNED WITH ALL PUBLISHED CIRM PREFERENCE

1 TOPICS, INCLUDING NEUROLOGICAL DISEASES. THE
2 PROJECT PROPOSED A CROSS-DISCIPLINARY SYSTEMS
3 BIOLOGY APPROACH TO UNDERSTAND THE COMPLEX BIOLOGY
4 OF BRAIN TUMORS BY INTERROGATING UNIQUE PATIENT
5 SAMPLES FROM MULTIPLE BRAIN TUMOR CAR-T CELL
6 CLINICAL TRIALS, INCLUDING THOSE DEVELOPED AND
7 EXECUTED WITH CIRM FUNDING.

8 DESPITE THIS ALIGNMENT, OUR PRESUBMISSION
9 APPLICATION WAS ADMINISTRATIVELY TRIAGED WITHOUT
10 EVALUATION COMMENTS. THIS RAISES QUESTIONS AS TO
11 THE ADMINISTRATIVE CRITERIA USED WITHOUT INDEPENDENT
12 SCIENTIFIC REVIEW TO SELECT ONLY 17 PERCENT OF THE
13 SUBMITTED APPLICATIONS. AND I AND OUR COLLEAGUES
14 WOULD ADVOCATE FOR GREATER TRANSPARENCY AROUND THE
15 ADMINISTRATIVE TRIAGE CRITERIA, ALLOWING TEAMS TO
16 BETTER ALIGN PRIORITIES WITH CIRM GOALS.

17 SECOND, I'D LIKE TO COMMENT REALLY ON THE
18 IMPORTANT CLINICAL IMPACT CIRM HAS ACHIEVED. OUR
19 SUCCESS IN ADVANCING CAR-T CELLS FOR INCURABLE BRAIN
20 TUMORS, AS YOU ALSO HEARD FROM CRYSTAL MACKALL,
21 WOULD NOT BE POSSIBLE WITHOUT CIRM'S PARTNERSHIP.

22 OUR PROJECT AT CITY OF HOPE WAS THE FIRST
23 CAR-T CELL THERAPY SUPPORTED BY CIRM. WE TREATED
24 OUR FIRST PATIENT WITHIN THREE YEARS OF A TRAN1
25 AWARD. WE DEMONSTRATED THAT CAR-T CELLS CAN MEDIATE

BETH C. DRAIN, CA CSR NO. 7152

1 A COMPLETE RESPONSE AGAINST RECURRENT GLIOBLASTOMA,
2 AND WE HAVE PATIENTS LIVING YEARS...

3 MS. MORALEZ: THANK YOU FOR YOUR COMMENT.
4 I DON'T SEE ANY OTHER HANDS RAISED.

5 CHAIRMAN IMBASCIANI: OKAY. YES. YSABEL,
6 YOU WANT TO ADD SOMETHING?

7 MS. DURON: I WAS IN PAIN FOR HER.

8 CHAIRMAN IMBASCIANI: OKAY. SO I WANT TO
9 THANK ALL THE MEMBERS OF THE PUBLIC WHO SPOKE AND
10 ESPECIALLY THE AUTHORS OF THE LETTERS. YOU CAN TELL
11 FROM THE TESTIMONY HERE THAT THE BOARD MEMBERS HAVE
12 READ YOUR LETTERS. THEY WERE CAREFULLY WRITTEN.

13 THEY WERE VERY INFORMATIVE. AND I HOPE YOU ARE LEFT
14 WITH THE IMPRESSION THAT YOUR COMMENTS WILL BE
15 SERIOUSLY CONSIDERED IN THE NEXT COUPLE OF MONTHS.

16 DID YOU WANT TO SAY SOMETHING?

17 DR. OKADA: EXCUSE ME.

18 CHAIRMAN IMBASCIANI: AS PART OF PUBLIC
19 COMMENT?

20 DR. OKADA: YEAH. ON BEHALF OF MY
21 COLLEAGUES, IT'S NOT THAT WE ARE TRYING TO SKEW ALL
22 THE GRANTS TOWARDS CANCER RESEARCH.

23 CHAIRMAN IMBASCIANI: START BY IDENTIFYING
24 YOURSELF FOR THE RECORD.

25 DR. OKADA: EXCUSE ME. I'M HEDEHO OKADA,

1 PROFESSOR AT UCSF. THANK YOU. I PROVIDED LETTERS.

2 SO IT'S NOT THAT WE ARE TRYING TO SKEW ALL
3 THE GRANTS GOING TO THE FIELD OF CANCER. ALL
4 DISEASE IS IMPORTANT. THERE ARE MANY WAYS TO HELP
5 PEOPLE.

6 I THINK MY CLEAR POINT IS THAT
7 IMPLEMENTATION OF THOSE NEW PRIORITIES IN
8 PRECLINICAL AND THE CLINICAL STAGE, MY OPINION IS
9 THE ISSUE BECAUSE EVERY PROJECT HAS A DEVELOPMENTAL
10 STAGE. AND AS CLEARLY DR. MACKALL PRESENTED, THE
11 AUTOLOGOUS CELL THERAPY IS STILL PLATFORM.
12 IMPORTANT THING IS FOR US TO DEMONSTRATE
13 REPRODUCIBLE SAFETY AND EFFICACY USING THE RELIABLE
14 PLATFORM. CLINICAL TRIALS HAS INCREMENTAL NATURE
15 THAT WE ASK ONE NEW QUESTION EACH TIME.

16 SO WE HAVE TO RELY ON RELIABLE EXISTING
17 PLATFORM TO ASK ONE IMPORTANT NOVEL QUESTION.
18 THAT'S HOW CLINICAL TRIAL MOVES. SO, THEREFORE, IF
19 YOU IMPLEMENT IN VIVO GENE THERAPY NONVIRAL IPSC IN
20 PRECLINICAL PDEV AND CLIN2, THAT CAN BE A BIT
21 DISRUPTIVE RATHER THAN CONSTRUCTIVE.

22 THAT IS MY QUESTION, AND I REALLY
23 APPRECIATE IF THE BOARD CAN CONSIDER THIS ASPECT IN
24 POTENTIAL REEXAMINATION OF THE PRIORITIES.

25 CHAIRMAN IMBASCIANI: THANK YOU VERY MUCH,

BETH C. DRAIN, CA CSR NO. 7152

1 DOCTOR. I APPRECIATE YOUR COMMENTS.

2 OKAY. I THINK WE HAVE REACHED THE END OF
3 THIS AGENDA ITEM THEN. CORRECT? GOOD. OKAY.

4 WE'RE MOVING TO AGENDA ITEM NO. 12. OKAY.
5 SO I'M GOING TO INTRODUCE OUR ASSOCIATE VICE
6 PRESIDENT FOR PRECLINICAL DEVELOPMENT, DR. SHYAM
7 PATEL, TO COME TO THE PODIUM. THIS IS GOING TO BE
8 AN EXCITING PRESENTATION -- I HOPE YOU ALL FIND
9 SO -- ON OUR RARE DISEASE PLATFORM PROPOSAL.

10 DR. PATEL: THANK YOU, DR. IMBASCIA. I
11 WHILE I'M WAITING FOR THE SLIDES TO COME UP, SO I'M
12 SHYAM PATEL. AND THANK YOU TO THE ICOC FOR THIS
13 OPPORTUNITY TO PRESENT THE RAPID FUNDING OPPORTUNITY
14 TO YOU TODAY. AND THANK YOU TO THE SCIENCE
15 SUBCOMMITTEE FOR YOUR ENDORSEMENT A COUPLE WEEKS
16 AGO.

17 I'M PRESENTING THIS FUNDING OPPORTUNITY
18 CONCEPT ON BEHALF OF MY COLLEAGUES, DR. JIM
19 CAMPANELLI, WHO'S IN THE AUDIENCE TODAY, AND
20 DR. LISA MCGINLEY, WHO'S ON ZOOM. AND THE RAPID
21 FUNDING OPPORTUNITY STANDS FOR RARE DISEASE
22 ACCELERATION THROUGH PLATFORM INNOVATION AND
23 DELIVERY. FULL DISCLOSURE, I WILL BE MAKING A
24 REFERENCE TO CARS, BUT THEY'RE OF THE BORING DRIVING
25 VARIETY. AND ADVANCE APOLOGY TO THE SCIENCE

1 SUBCOMMITTEE TO HAVE TO HEAR THAT ANALOGY YET AGAIN.

2 OKAY. SO THIS PRESENTATION IS GOING TO
3 FOCUS QUITE A BIT ON THE BACKGROUND AND MOTIVATION
4 FOR THIS FUNDING CONCEPT. AND THEN I WILL GO INTO
5 THE STRUCTURE AND SCOPE OF THE PROGRAM, ENDING WITH
6 THE TIMELINE.

7 SO THE RAPID FUNDING OPPORTUNITY IS
8 RESPONSIVE TO THE SAF GOAL 3, WHICH WAS TO ADVANCE
9 FOUR TO SEVEN RARE DISEASE PROJECTS TO BLA. IN THAT
10 GOAL WE HAD TWO RECOMMENDATIONS. AND THIS IS FOR
11 THE SECOND RECOMMENDATION, WHICH WAS TO ADVANCE A
12 NEW MODEL FOR RARE DISEASE THERAPY DEVELOPMENT THAT
13 IS BASED ON PLATFORMS. AND I WILL SAY PLATFORMS AND
14 RAPID A HUNDRED TIMES, AND WE'LL GET THROUGH THIS
15 ONE STEP AT A TIME.

16 OKAY. SO, AS YOU ALL KNOW, THERE ARE OVER
17 10,000 UNIQUE RARE DISEASES THAT AFFECT THE WORLD
18 POPULATION TODAY. WHILE EACH RARE DISEASE AFFECTS A
19 SMALL GROUP OF PEOPLE, COLLECTIVELY OVER 30 MILLION
20 AMERICANS ARE LIVING WITH RARE DISEASE TODAY. OVER
21 50 PERCENT OF THOSE RARE DISEASES MANIFEST
22 THEMSELVES IN CHILDHOOD, AND 80 PERCENT OF THEM HAVE
23 A GENETIC BASIS.

24 NOW, THE VAST MAJORITY OF CELL AND GENE
25 THERAPIES THAT HAVE BEEN APPROVED AND ARE IN THE

1 MARKET TODAY ARE FOR RARE DISEASES, BUT 95 PERCENT
2 OF RARE DISEASES HAVE NO APPROVED THERAPY. WHY IS
3 THAT? AND WE ALL KNOW THAT. IT'S BECAUSE THE DRUG
4 DEVELOPMENT PROCESS, PARTICULARLY FOR ADVANCE
5 MODALITIES, IS TIME, RESOURCE, AND COST INTENSIVE.
6 AND IT MAKES IT VERY DIFFICULT TO BE ABLE TO DEVELOP
7 THERAPIES WHEN YOU'RE LOOKING AT THOUSANDS OF
8 DISEASES.

9 SO THIS GETS US TO THE POINT OF -- THIS IS
10 REINFORCED HERE AGAIN IN THIS SLIDE, WHICH IS THAT
11 EVEN FOR GENE THERAPIES WHERE THE DEVELOPMENT
12 PATHWAY IS A LITTLE BIT ACCELERATED COMPARED TO
13 SMALE MOLECULES AND BIOLOGICS, IT COULD STILL TAKE
14 FIVE TO TEN YEARS TO GET THESE THERAPIES THROUGH THE
15 DEVELOPMENT LIFE CYCLE FROM CANDIDATE DISCOVERY
16 THROUGH PRECLINICAL DEVELOPMENT TO CLINICAL TRIALS
17 AND FDA APPROVAL. AS I MENTIONED, BECAUSE YOU'RE
18 LARGELY REPEATING THE TESTING PROCESS WHOLESALE FOR
19 EACH CANDIDATE, IT'S VERY DIFFICULT TO DEVELOP THESE
20 THERAPIES IN ANY PARALLEL FORMAT. AND YOU OFTEN SEE
21 THESE TYPES OF STAGGERED OR SERIAL DEVELOPMENT OF
22 GENE THERAPIES ACROSS ACADEMIA AND INDUSTRY BECAUSE
23 YOU JUST CANNOT COMMIT THE AMOUNT OF TIME,
24 RESOURCES, AND FUNDING TO BE ABLE TO DO IT IN
25 PARALLEL.

1 WHAT IF YOU COULD SPEED UP THE DEVELOPMENT
2 OF A GENETIC THERAPY? WE SAW AN EXAMPLE OF THIS,
3 AND WOULD THIS ACTUALLY RESULT IN A SCALABLE
4 SOLUTION? SO WE SAW AN EXAMPLE OF THIS RECENTLY
5 WHERE THE CHILDREN'S HOSPITAL OF PHILADELPHIA TEAM
6 DEVELOPED A BASE EDITING GENETIC THERAPY FOR BABY KJ
7 WITHIN SIX MONTHS OF DIAGNOSIS. WHILE THAT WAS A
8 VERY REMARKABLE ACHIEVEMENT BECAUSE THEY HAD TAKEN A
9 NOVEL GENE EDITING TECHNOLOGY AND DROVE IT TO THE
10 CLINIC IN SIX MONTHS, IF YOU LOOK AT THEIR TESTING
11 TIMELINE, THEY HAD TO DO THE LARGE MAJORITY OF THE
12 TESTING YOU NORMALLY WOULD DO FOR A GENE THERAPY.
13 THIS INCLUDES TESTING IN SMALL AND LARGE ANIMAL
14 MODELS AND ALL THE MANUFACTURING FOR THIS THERAPY.
15 AND BECAUSE IT WAS AN N OF 1 THERAPY FOR A
16 SINGLE PATIENT UNDER A SINGLE ADMINISTRATION, YOU
17 HAVE TO REPEAT ALL OF THAT TESTING AGAIN FOR THE
18 NEXT BABY KJ. SO WHILE IT WAS SPED UP IN TIME, IT
19 WAS NOT EFFICIENT IN THE USE OF RESOURCES AND
20 DOLLARS.

21 SO THIS IS WHERE I'M GOING TO PAUSE TO
22 TALK A LITTLE BIT ABOUT CARS. AND SO IMAGINE IN
23 YOUR MIND TWO CARS. ONE IS -- I'M NOT SURE I CAN DO
24 THIS WITH A STRAIGHT FACE. ONE IS A HONDA CRV,
25 WHICH IS AN SUV THAT I DRIVE. AND THE OTHER IS A

1 HONDA CIVIC, A COMPACT SEDAN. THESE LOOK LIKE TWO
2 COMPLETELY DIFFERENT CARS. THEY HAVE DIFFERENT
3 FUNCTIONS. THEY'RE MEANT FOR DIFFERENT LIFESTYLES,
4 BUT THEY'RE IN FACT BASED ON EACH OTHER. THE HONDA
5 CRV WAS DESIGNED AND BUILT ON THE CHASSIS OF THE
6 HONDA CIVIC. AND THAT WAS A DELIBERATE STRATEGY
7 WHERE EVERY SINGLE GENERATION OF THE CRV IS BUILT ON
8 THE SAME GENERATION OF THE CIVIC AS A DELIBERATE
9 STRATEGY TO APPLY PLATFORM EFFICIENCIES TO EVERY
10 SINGLE STAGE OF PRODUCT DEVELOPMENT AND PRODUCT LIFE
11 CYCLE STARTING WITH DESIGN, TESTING, AND GETTING
12 INTO MANUFACTURING, AND MAINTENANCE OF THOSE
13 VEHICLES. SO EVERY GENERATION OF THOSE CARS.

14 WHAT WOULD HAPPEN IF WE TOOK THAT SORT OF
15 AN APPROACH WHERE YOU ARE REALIZING PLATFORM
16 EFFICIENCIES AT EVERY SINGLE STAGE YOU SEE HERE FROM
17 DISCOVERY THROUGH PRECLINICAL TESTING TO CLINICAL
18 TESTING TO APPROVAL? WHAT WOULD THAT LOOK LIKE?
19 AND SO THAT IS THE CONCEPT OF PLATFORM-BASED
20 THERAPIES WHERE YOU WOULD HAVE MULTIPLE RELATED
21 THERAPIES FOR MULTIPLE INDICATIONS THAT ARE RAPIDLY
22 ADVANCED TO PATIENTS BY LEVERAGING COMMON
23 COMPONENTS, TECHNOLOGIES, DATA, AND RESOURCES. THIS
24 IS DONE TO A CERTAIN EXTENT TODAY, BUT WHAT I'M
25 GOING TO DESCRIBE IN THE NEXT COUPLE SLIDES IS HOW

1 TO DO THAT EFFICIENTLY ACROSS THE ENTIRE LIFE CYCLE.

2 SO HERE ARE A FEW ILLUSTRATIVE EXAMPLES

3 THAT ARE NOT MEANT TO BE LIMITING, BUT TO JUST GIVE

4 YOU AN IDEA OF POTENTIALLY PLATFORMIZABLE GENETIC

5 THERAPIES. THERE IS NONVIRAL GENE EDITING RNA-BASED

6 THERAPIES AND AAV GENE DELIVERY. WE'RE GOING TO KEY

7 IN ON THE GENE EDITING. I'M GOING TO CARRY FORWARD

8 THAT BABY KJ EXAMPLE A COUPLE MORE TIMES HERE.

9 SO IF WE LOOK AT CRISPR GENE EDITING,

10 THERE ARE THREE BASIC COMPONENTS TO THAT TYPE OF A

11 THERAPY. THERE IS A DELIVERY VEHICLE. IT COULD BE

12 A NONVIRAL LIPID NANOPARTICLE; AN EDITOR ENZYME,

13 WHICH DOES THE JOB OF MODIFYING THE DNA; AND AS WELL

14 AS A GUIDE RNA. THE GUIDE RNA'S FUNCTION IS TO

15 GUIDE THE ENZYME TO THE APPROPRIATE SEQUENCE IN THE

16 GENE. BY VARYING JUST THAT GUIDE RNA, YOU CAN

17 ACTUALLY CREATE HUNDREDS OF DIFFERENT THERAPIES THAT

18 TARGET DIFFERENT MUTATIONS.

19 AND SO WITH THAT, I'M GOING TO WALK

20 THROUGH WHAT IS AN IDEAL STATE OF PLATFORM-BASED

21 THERAPY DEVELOPMENT AND APPROVAL AND WHERE WE'RE AT

22 AT THE MOMENT.

23 AS I JUST DESCRIBED, IT'S RELATIVELY

24 STRAIGHTFORWARD TO USE A PLATFORM LIKE CRISPR TO

25 DISCOVER A LARGE NUMBER OF CANDIDATES. SO LET'S GO

1 BACK TO THE BABY KJ EXAMPLE. BABY KJ HAS A UREA
2 CYCLE DISORDER WHERE IT HAD A MUTATION IN ONE GENE.
3 THERE ARE SIX OTHER KNOWN GENES WHERE A MUTATION
4 CAUSES A DISRUPTION TO THE UREA CYCLE PROCESS. WHAT
5 IF, INSTEAD OF DEVELOPING A THERAPY ONE AT A TIME,
6 YOU DEVELOPED A THERAPY FOR ALL SEVEN OF THOSE
7 GENETIC MUTATIONS AT THE SAME TIME. SO EFFECTIVELY
8 YOU'RE LOOKING AT SEVEN DIFFERENT CANDIDATES OR MORE
9 ACTUALLY FOR SEVEN RELATED INDICATIONS AND SEVEN
10 PATIENT POPULATIONS.

11 OKAY. SO IN THIS SIMPLIFIED EXAMPLE,
12 LET'S JUST ASSUME THAT BY VARYING THE GUIDE RNA, YOU
13 COULD CREATE A BASE EDITING THERAPY FOR EACH OF
14 THOSE SEVEN MUTATIONS. PLEASE PARDON THE FACT THAT
15 I STOPPED AT THREE GRAPHICS HERE. AND THEN YOU CAN
16 DEVELOP THOSE THERAPIES RELATIVELY -- YOU CAN
17 DISCOVER THOSE THERAPIES RELATIVELY QUICKLY. WHERE
18 IT GETS CHALLENGING IS WHEN YOU GET TO THE
19 PRECLINICAL TESTING PHASE. SO HERE WHAT YOU WOULD
20 DO IN THIS IDEAL STATE IS RESERVE THE BULK OF YOUR
21 PRECLINICAL TESTING, YOUR ANIMAL TESTING, YOUR IN
22 VITRO ASSAYS, A LOT OF YOUR MANUFACTURING
23 FEASIBILITY, TO ONE CANDIDATE. AND THEN YOU WOULD
24 DO THE NECESSARY IN VITRO TESTING FOR THE REST OF
25 THOSE CANDIDATES.

1 SO HERE YOU'RE BASICALLY LIMITING AND
2 USING THE DATA FROM ONE CANDIDATE TO JUSTIFY THE
3 PLATFORM, BUT YOU'RE SUPPLEMENTING THAT WITH DATA
4 FROM ADDITIONAL CANDIDATES THAT'S MAYBE ONLY IN
5 VITRO TESTING. THEN WHEN IT GETS TO THE IND AND
6 CLINICAL TRIAL STAGE, INSTEAD OF SUBMITTING A SINGLE
7 IND FOR EACH OF THOSE CANDIDATES TO DO A SINGLE
8 CANDIDATE TRIAL, THE FDA WILL ALLOW YOU TO SUBMIT A
9 MASTER CLINICAL PROTOCOL DRIVEN BY A MASTER CLINICAL
10 IND, WHICH WOULD ALLOW YOU TO DO AN EFFICIENT
11 UMBRELLA TRIAL DESIGN WHERE YOU CAN TEST ALL OF
12 THESE CANDIDATES AT THE SAME TIME IN AN UMBRELLA
13 TRIAL. AND YOU CAN ALSO ADD ADDITIONAL CANDIDATES
14 AS NEW MUTATIONS ARISE IN THOSE PATIENT POPULATIONS.

15 FINALLY, INSTEAD OF SUBMITTING A BLA FOR
16 EVERY SINGLE CANDIDATE, THE FDA WOULD ALLOW YOU --
17 FDA WOULD APPROVE THE PLATFORM. SO THEY'RE
18 APPROVING THE PLATFORM WHICH WOULD ALLOW FOR
19 ADDITIONAL CANDIDATES TO RAPIDLY BE DEVELOPED AND
20 APPROVED. SO THAT WOULD BE THE IDEAL STATE OF
21 APPLYING PLATFORM-BASED APPROACHES ACROSS THE ENTIRE
22 LIFE CYCLE OF DRUG DEVELOPMENT IF YOU WERE TO CREATE
23 HONDAS AND CRV'S.

24 SO WITH THAT IN MIND, THE PREVAILING
25 THOUGHT SO FAR HAS BEEN TO GET TO THIS SORT OF AN

1 IDEAL STATE WOULD REQUIRE A LOT OF ITERATIVE STEPS
2 WHERE YOU REALIZE PLATFORM EFFICIENCIES AT ONE STEP
3 IN THE PROCESS AND YOU STACK ALL THOSE EFFICIENCIES
4 TO GET WHERE YOU NEED TO BE. FOR EXAMPLE, RIGHT NOW
5 I'M WORKING ON MANAGING AN AWARD THAT IS A GENE
6 THERAPY. AND WHAT -- THAT COMPANY ACTUALLY HAS
7 ANOTHER GENE THERAPY THAT HAS SIMILAR STRUCTURAL
8 ELEMENTS THAT'S ALREADY IN THE CLINIC. AND THEY'RE
9 ABLE TO LEVERAGE SOME OF THE DATA FROM THAT CLINICAL
10 CANDIDATE TO REDUCE SOME OF THE ANIMAL TESTING FOR
11 THEIR EXISTING CANDIDATE. HOWEVER, TO REALIZE THIS
12 SORT OF A STRATEGY WOULD REQUIRE A DELIBERATE
13 APPROACH.

14 SO I MENTIONED THE CHOP TEAM BEFORE AND
15 THE UREA CYCLE DISORDER IN THE BABY KJ EXAMPLE. THE
16 CHOP TEAM IS, IN FACT, EXECUTING ON THIS STRATEGY.
17 THEY HAVE GONE TO THE FDA IN A PRE-IND MEETING
18 PROPOSING THIS EXACT APPROACH, WHICH IS PARALLEL
19 DEVELOPMENT OF GENETIC THERAPIES FOR ALL SEVEN UREA
20 CYCLE GENES TO DO A MASTER PROTOCOL CLINICAL TRIAL.
21 AND BY RESERVING THE TESTING, THE BULK OF THE
22 TESTING ON A SUBSET OF THOSE CANDIDATES. THEY HAVE
23 PRE-IND BUY-IN. THE PRE-IND MEETING MINUTES HAVE
24 BEEN PUBLISHED AND SHARED WITH THE PUBLIC.

25 AND ON TOP OF THAT, A COUPLE MONTHS AGO

1 THE FDA, PARTLY INSPIRED BY THE BABY KJ EXAMPLE, PUT
2 OUT A VERY PRELIMINARY BLUEPRINT THAT THEY CALL THE
3 PLAUSIBLE MECHANISM PATHWAY. THE PLAUSIBLE
4 MECHANISM PATHWAY DESCRIBES HOW PLATFORM-BASED
5 GENETIC THERAPIES FOR RARE DISEASES CAN BE RAPIDLY
6 DEVELOPED AND APPROVED AS A PLATFORM, EFFECTIVELY
7 WHAT IS DESCRIBED IN THIS SLIDE. AND SO AT THIS
8 POINT, WHILE A LOT STILL NEEDS TO BE DONE, MUCH
9 STILL NEEDS TO BE WORKED OUT AND PROVEN, THERE
10 APPEARS TO BE A POSITIVE FEEDBACK LOOP BETWEEN
11 REGULATORS AND THERAPY DEVELOPERS ON HOW TO GET TO
12 THIS VISION OF A PLATFORM-BASED APPROACH FOR RARE
13 DISEASE THERAPIES.

14 SO I'M GOING TO TAKE A MINUTE TO STEP BACK
15 AND TALK ABOUT HOW WE GOT TO THIS STAGE. SO THE
16 CHOP TEAM'S WORK HAS BEEN ENTIRELY SUPPORTED BY A
17 VISIONARY SET OF NIH FUNDING OPPORTUNITIES THAT WERE
18 ISSUED THREE YEARS AGO. SO THE NIH ISSUED A COUPLE
19 OF FUNDING OPPORTUNITIES THAT ASKED A VERY SIMPLE
20 QUESTION FOR PROPOSALS. BRING US MULTIPLE GENE
21 EDITING THERAPIES FOR MULTIPLE DISEASES, AND WE WILL
22 FUND YOU TO IND. AND SO THAT WAS THEIR APPROACH. SO
23 BABY KJ IS ACTUALLY A STEPPING STONE IN THAT BROADER
24 CHOP PROGRAM APPROACH TO BRING THOSE -- ALL THOSE
25 GENE THERAPIES AT THE SAME TIME TO THE CLINIC AND AN

1 IMPORTANT VALIDATION.

2 ON THE REGULATORY SIDE, AS MANY OF YOU
3 KNOW, A COUPLE YEARS AGO THE FDA PUBLISHED PLATFORM
4 DESIGNATION DRAFT GUIDANCE. THIS IS DRIVEN BY THE
5 21ST CENTURY CURES ACT. UNFORTUNATELY THAT PLATFORM
6 DESIGNATION GUIDANCE IS NOT ACCESSIBLE TO MOST CELL
7 AND GENE THERAPY DEVELOPERS BECAUSE IT REQUIRES YOU
8 TO HAVE AN APPROVED PRODUCT IN THE FIRST PLACE.

9 HOWEVER, THAT HAS SPURRED A LOT OF DIRECT
10 INTERACTION BETWEEN THERAPY DEVELOPERS, PATIENT
11 ADVOCATES, AND THE FDA ON HOW YOU CAN HAVE EFFICIENT
12 REGULATORY PATHWAYS FOR RARE DISEASE DRUG
13 DEVELOPMENT. AND THAT'S WHAT LED TO THE PLAUSIBLE
14 MECHANISM PATHWAY PUBLICATION FROM THE FDA.

15 SO YOU CAN SEE HOW ALL THE PIECES ARE
16 FITTING TOGETHER. AND THE RAPID PROGRAM AT THIS
17 POINT IN TIME, IF APPROVED BY THE BOARD TODAY, WOULD
18 BE POSITIONED TO ADVANCE PLATFORM-BASED THERAPIES
19 FROM PROMISE TO PRACTICE BY BUILDING ROBUST EVIDENCE
20 ACROSS RARE DISEASE AND TECHNOLOGY.

21 SO THE GOAL FOR THIS PROGRAM IS TWOFOLD,
22 AND THAT'S DESCRIBED IN THIS SLIDE. SO THE FIRST IS
23 SCIENTIFIC AND REGULATORY INNOVATION AND SECOND IS
24 PATIENT IMPACT. SO FOR THAT FIRST GOAL OF
25 SCIENTIFIC AND REGULATORY INNOVATION, THE IDEA HERE

1 IS THAT THIS PROGRAM WOULD SUPPORT MULTIPLE
2 APPROACHES FOR MULTIPLE DISEASE AREAS AND BUILD A
3 STRONG EVIDENCE BASE OF SAFETY AND EFFICACY FOR
4 THESE PLATFORM-BASED APPROACHES ACROSS THESE
5 DIFFERENT APPROACHES. HOWEVER, IF IT'S GOING TO DO
6 THAT, IT ALSO NEEDS TO ENSURE THAT IT CAN
7 DEMONSTRATE RAPID PROGRESSION OF PLATFORM-BASED
8 THERAPIES TO PATIENTS WHERE THERE IS DIRECT CLINICAL
9 IMPACT. AND THAT'S THE SECOND GOAL OF PATIENT
10 IMPACT. ULTIMATELY ALL THIS WOULD RESULT IN BLAZING
11 A PATHWAY THAT OTHERS CAN FOLLOW.

12 SO WITH THAT IN MIND, THE RAPID PROGRAM
13 HAS AN OBJECTIVE OF CREATING A SCALABLE MODEL TO
14 RAPIDLY DELIVER TRANSFORMATIVE PLATFORM-BASED
15 GENETIC THERAPIES TO PATIENTS WITH RARE DISEASE.

16 I'M GOING TO SPEND THE NEXT FEW SLIDES
17 TALKING ABOUT THE SCOPE AND STRUCTURE OF THE PROGRAM
18 AS WELL AS THE BUDGET ASK. AND THEN I'LL END WITH A
19 COUPLE OF SLIDES ABOUT THE LIFE CYCLE OF THE AWARD.

20 SO AS YOU KNOW, WE HAVE A SET OF FUNDING
21 OPPORTUNITIES THAT DO SPAN PRECLINICAL TO CLINICAL
22 DEVELOPMENT. THESE INCLUDE LEGACY PROGRAMS LIKE
23 TRANSLATIONAL AND CLIN1 AS WELL AS OUR NEWER
24 PROGRAMS LIKE PDEV AND THE MODIFIED CLIN2. ALL OF
25 THESE PROGRAMS HAVE SUPPORTED RARE DISEASE THERAPIES

1 AND WILL CONTINUE TO DO SO AS WE GO FORWARD.
2 HOWEVER, THESE PROGRAMS ARE NOT CURRENTLY DESIGNED
3 TO ACCELERATE INNOVATIVE, HIGH RISK PLATFORM
4 APPROACHES.
5 SO THESE PROGRAMS ARE DESIGNED TO SUPPORT
6 THE TRIED AND TRUE REGULATORY PATHWAY OF FOCUSING ON
7 A SINGLE CANDIDATE FOR A SINGLE INDICATION DOING ALL
8 THE PRECLINICAL TESTING AND MANUFACTURING AND THEN
9 CONDUCTING A SINGLE CANDIDATE TRIAL UNDER A SINGLE
10 IND. LOTS OF SINGLES THERE. THIS SHOULD COME AS NO
11 SURPRISE TO YOU, BUT THE RAPID PROGRAM WILL DO
12 EVERYTHING IN MULTIPLES. AND SO THE IDEA HERE IS
13 THAT THIS PROGRAM WILL SUPPORT THE DEVELOPMENT OF
14 MULTIPLE CANDIDATES FOR MULTIPLE RELATED INDICATIONS
15 IN A MASTER PROTOCOL TRIAL WHERE THE PLATFORM
16 EFFICIENCIES ARE REALIZED AT BOTH THE PRECLINICAL,
17 MANUFACTURING, AND CLINICAL STAGES.
18 SO IN ORDER TO SUPPORT THE GOALS THAT I
19 MENTIONED EARLIER ABOUT REGULATORY AND TECHNICAL
20 INNOVATION AND PATIENT IMPACT, WE'RE PROPOSING TWO
21 TYPES OF AWARDS FOR THE RAPID PROGRAM. THE FIRST IS
22 THE RAPID VALIDATION AWARD. THIS IS MEANT TO SERVE
23 THAT PATIENT IMPACT GOAL. AND THE IDEA HERE IS THAT
24 THERE WILL BE A SUBSET OF PROJECTS THAT HAVE PRE-IND
25 FEEDBACK FROM THE FDA ON A PLATFORM-BASED APPROACH.

1 AND THEY WOULD BE ABLE TO APPLY TO CIRM FOR FUNDING
2 FOR A VALIDATION AWARD, WHICH WOULD TAKE THEM
3 THROUGH ALL THE IND-ENABLING STUDIES, THE IND
4 CLEARANCE, AS WELL AS COMPLETION OF THAT
5 FIRST-IN-HUMAN MASTER PROTOCOL TRIAL. SO THIS
6 EFFECTIVELY SPANS IND-ENABLING STAGE AS WELL AS
7 CLINICAL STAGE.

8 WE COULD ENVISION SOME NONLIMITING
9 EXAMPLES OF TYPES OF PROJECTS THAT MIGHT COME IN.
10 THIS COULD INCLUDE NONVIRAL LIVER TARGETED BASED
11 EDITING THERAPIES FOR METABOLIC DISORDERS AS WHAT
12 I'VE BEEN SPEAKING ABOUT WITH RESPECT TO WHAT THE
13 CHOP TEAM HAS BEEN DOING. BUT THERE'S ANOTHER BROAD
14 ARRAY OF METABOLIC DISORDERS THAT COULD BE LIVER
15 TARGETED OR TARGETING SPECIFIC ORGANS. YOU COULD
16 ALSO HAVE AAV-BASED GENE DELIVERY FOR
17 NEURODEVELOPMENTAL DISEASES WHERE THERE HAS BEEN
18 SIGNIFICANT CLINICAL PROGRESS TO DATE, BUT CANNOT BE
19 PLATFORMIZED. AND THESE AWARDS WILL ACHIEVE THE
20 PROGRAM OBJECTIVE BY DEMONSTRATING THAT YOU CAN
21 RAPIDLY BRING THESE THERAPIES TO PATIENTS AS WELL AS
22 BUILDING THAT EVIDENCE BASE OF SAFETY AND EFFICACY
23 FOR THESE TYPES OF APPROACHES.

24 AND I'M GOING TO BRIEFLY TALK ABOUT THE
25 STRUCTURE OF THE AWARDS. SO THE CANDIDATES THAT

1 WOULD BE THE ELIGIBLE FOR A RAPID VALIDATION AWARD
2 WOULD BE IN VIVO GENETIC THERAPIES FOR RARE GENETIC
3 DISEASES. THE APPLICANT WOULD HAVE TO HAVE
4 CONDUCTED THAT PRE-IND MEETING, AS I MENTIONED. AND
5 THE OUTCOME FOR THESE AWARDS WOULD BE COMPLETION OF
6 THE CLINICAL TRIAL FOR AT LEAST THREE CANDIDATES.
7 AND THIS PA, UNLIKE SOME OF OUR OTHER PROGRAMS, WILL
8 NOT SPECIFY AN AWARD CAP AND IT WILL NOT HAVE A
9 MINIMUM CO-FUNDING REQUIREMENT.

10 THIS IS SPELLED OUT IN THE MEMO, BUT
11 BRIEFLY, WHAT WE'RE INTENDING TO DO HERE IS TO ALLOW
12 FOR A RANGE OF PROJECTS WHERE CO-FUNDING IS NOT A
13 BARRIER, BUT ALSO PUT THE ONUS ON THE APPLICANT TO
14 PROPOSE A WELL-JUSTIFIED BUDGET THAT CLEARLY
15 DEMONSTRATES TIME, COST, AND RESOURCE EFFICIENCIES.
16 AND LIKE ALL OF OUR PDEV PROGRAM, THE RAPID PROGRAM
17 WILL BE LIMITED TO CALIFORNIA AWARDEES.

18 THE SECOND AWARD TYPE IS THE RAPID
19 INNOVATION AWARDS. THIS SPEAKS TO THE FIRST GOAL OF
20 REGULATORY AND TECHNICAL INNOVATION. SO THE INTENT
21 HERE WITH THE RAPID INNOVATION AWARDS IS TO REALLY
22 PUSH THE BOUNDARIES OF WHAT CONSTITUTES A PLATFORM.
23 SO WHAT WE EXPECT HERE IS THAT, AS NOVEL
24 TECHNOLOGIES ARE DEVELOPED, THEY'RE DEVELOPED WITH
25 PLATFORM-BASED APPROACH IN MIND, AND THAT THEY ARE

1 USING THAT TO EXPAND THE REACH OF PLATFORM-BASED
2 GENETIC THERAPIES TO ADDITIONAL TYPES OF RARE
3 DISEASES. AND SO WE WILL SUPPORT THESE PROJECTS
4 THROUGH THAT EARLY OPTIMIZATION OF THE CANDIDATES
5 THROUGH IND CLEARANCE. SO THIS IS VERY SIMILAR IN
6 SCOPE TO THE PDEV PROGRAM, BUT IT WILL NOT SUPPORT
7 CLINICAL TRIALS.

8 SO WE CAN ENVISION SOME NONLIMITING
9 EXAMPLES THAT COULD INCLUDE NONVIRAL GENE DELIVERY
10 TECHNOLOGIES TO THE CNS. IT COULD INCLUDE NEXT
11 GENERATION GENE EDITING THERAPIES DEVELOPED WITH
12 NOVEL IN VITRO MODELS. AND THESE SERVE THE PLATFORM
13 GOAL BY REALLY ADVANCING NOVEL TECHNOLOGIES IN A
14 PLATFORM-BASED STRATEGY AND CONTINUING TO ADD TO THE
15 EVIDENCE BASE FOR WHAT WOULD CONSTITUTE EFFECTIVE
16 PLATFORMS.

17 AN INNOVATION AWARD WOULD, AGAIN, BE FOR
18 CANDIDATES THAT ARE IN VIVO GENETIC THERAPIES FOR
19 RARE GENETIC DISEASES. WE WOULD REQUIRE THAT THE
20 APPLICANTS HAVE AT A MINIMUM REQUESTED AN FDA
21 INTERACT MEETING. THIS IS IMPORTANT BECAUSE YOU
22 HAVE TO HAVE ALIGNMENT WITH THE FDA FOR THESE TYPES
23 OF NOVEL APPROACHES. AND THE OUTCOME FOR THIS AWARD
24 WOULD BE A MASTER PROTOCOL IND CLEARED FOR AT LEAST
25 THREE CANDIDATES. LIKE THE VALIDATION AWARD, THERE

1 WOULD BE NO AWARD CAP SPECIFIED IN THE PA AND NO
2 MINIMUM REQUIRED CO-FUNDING. AND LIKE THE
3 VALIDATION AWARD AND OUR PDEV PROGRAM, THE AWARDEE
4 WOULD HAVE TO BE A CALIFORNIA ORGANIZATION.

5 TO SUPPORT THESE TYPES OF PROJECTS, WE ARE
6 REQUESTING THAT THE BOARD AUTHORIZE A \$100 MILLION
7 BUDGET FOR THIS PROGRAM THAT WOULD BE SPREAD ACROSS
8 TWO ANNUAL FUNDING CYCLES. I'LL DESCRIBE HOW WE MAY
9 COME BACK TO THE BOARD DOWN THE ROAD FOR APPROVAL TO
10 REINVEST ADDITIONAL FUNDS IF THEY'RE RECOVERED FROM
11 RAPID PROJECTS.

12 GIVEN THE DYNAMIC NATURE OF THESE
13 PROJECTS, WE WOULD LIKE TO REQUEST FROM THE BOARD
14 THE AUTHORIZATION TO HAVE A SUPPLEMENT BUDGET. THIS
15 WILL BE A PROGRAM SUPPLEMENT BUDGET THAT COULD BE
16 DEPLOYED BY CIRM IN A DEFINED REQUEST AND APPROVAL
17 PROCESS TO HELP ACCELERATE FUNDED PROJECTS THAT ARE
18 OTHERWISE MOVING AND EXECUTING ON THEIR OM'S.

19 SO TO DESCRIBE WHAT THAT WOULD LOOK LIKE
20 OVER A TWO-YEAR PERIOD, IN FISCAL YEAR 26/27, CIRM
21 WOULD REQUEST THAT THE BOARD AUTHORIZE \$55 MILLION
22 TO THE RAPID PROGRAM, \$50 MILLION OF WHICH WOULD BE
23 DEPLOYED TO FUND UP TO TWO OR THREE AWARDS OR
24 POSSIBLY MORE, AND \$5 MILLION WILL BE RESERVED FOR
25 THE PROGRAM SUPPLEMENT THAT COULD BE DEPLOYED OVER

1 THE LIFETIME OF THE PROGRAM.

2 IN FISCAL YEAR 27/28, WE WOULD REQUEST
3 THAT THE BOARD AUTHORIZE \$45 MILLION THAT COULD BE
4 DEPLOYED TO FUND ADDITIONAL AWARDS IN THE RAPID
5 PROGRAM.

6 CIRM ROUTINELY RECOVERS FUNDS FROM
7 PROJECTS. AND IF ENOUGH FUNDS ARE RECOVERED FROM
8 RAPID PROJECTS THEMSELVES, WE MAY IN FUTURE YEARS
9 ASK THE BOARD FOR APPROVAL TO EITHER REPLENISH THE
10 SUPPLEMENT BUDGET AND/OR OPEN UP THE PROGRAM FOR
11 ADDITIONAL RAPID APPLICATIONS. THAT BE WOULD BE
12 PART OF OUR RESEARCH BUDGET APPROVAL PROCESS.

13 SO IN THE NEXT COUPLE SLIDES, I'M GOING TO
14 TALK ABOUT THE LIFE CYCLE OF THE AWARD. THIS IS
15 RELATIVELY SIMILAR TO OUR OTHER PROGRAMS. SO GIVEN
16 THE NATURE OF THIS PROGRAM AND THE SCOPE OF IT, WE
17 WANT TO ENSURE THAT EVERY APPLICANT HAS HAD A
18 CONSULTATION WITH THE CIRM TEAM BEFORE THEY SUBMIT
19 THEIR APPLICATION. WE DON'T WANT THEM TO SPEND A
20 LOT OF TIME ON THE PROPOSAL MAKING PROCESS WITHOUT
21 MAKING SURE THAT THE PROGRAM IS ALIGNED WITH THE
22 GOALS.

23 SO WHAT WE WOULD EXPECT HERE IS THAT WE
24 ARE GOING TO REQUIRE THAT EVERY APPLICANT HAVE A
25 CONSULTATION WITH CIRM BEFORE THEY CAN SUBMIT THAT

1 APPLICATION.

2 THE APPLICATION REVIEW PROCESS WILL BE
3 SIMILAR TO OUR OTHER PROGRAMS. IT WILL REQUIRE
4 SUBMISSION OF A COMPLETE APPLICATION. THERE IS NO
5 PRESUBMISSION FOR THIS PROGRAM. IF NECESSARY, AND
6 THE VOLUME EXCEEDS THE AMOUNT OF APPLICATIONS THE
7 GWG CAN REVIEW, WE WOULD DO A POSITIVE SELECTION
8 MECHANISM. THIS IS WHERE THE GRANTS WORKING GROUP
9 MEMBERS SELECT APPLICATIONS THAT THEY WANT TO
10 FORWARD TO SCIENTIFIC REVIEW. AND LIKE ALL OF OUR
11 OTHER PROGRAMS, ALL OF THE APPLICATIONS WOULD BE
12 SCORED BY THE GWG ON A 1 TO 100 SCORE.

13 WE'LL ALSO UTILIZE OUR EXISTING MANAGEMENT
14 MECHANISMS TO ENSURE THAT RAPID PROJECTS ADHERE TO
15 THE PROGRAM OBJECTIVE AND EXPECTED OUTCOME. SO WE
16 HAVE BEEN DEPLOYING A PROACTIVE AWARD MANAGEMENT
17 STRATEGY ACROSS OUR ENTIRE PORTFOLIO. THIS GOES
18 BEYOND QUARTERLY REPORT REVIEW TO HAVE ONE-ON-ONE
19 DISCUSSIONS WITH THE Awardee TO MAKE SURE THAT CIRM
20 IS INCLUDED ALL FDA MEETINGS AND TO CREATE A
21 PROACTIVE AND COLLABORATIVE ENVIRONMENT WITH THE
22 Awardee TO MOVE THAT PROJECT FORWARD.

23 TO ENSURE THAT THE PROJECT IS OPERATING ON
24 ITS OBJECTIVE, ALL AWARDS WILL USE THE CIRM
25 PERFORMANCE DRIVEN OPERATIONAL MILESTONE STRUCTURE.

1 HERE FUNDS ARE ONLY DISBURSED IF THAT PROJECT MEETS
2 THE OPERATIONAL MILESTONE SUCCESS CRITERIA DEFINED
3 IN THE NOTICE OF AWARD. THIS HELPS US ALSO ENSURE
4 THAT THE PROJECTS ARE REALIZING PLATFORM
5 EFFICIENCIES AND CONTINUOUSLY PROGRESSING
6 CANDIDATES, THE MINIMUM NUMBER REQUIRED, FOR EACH OF
7 THOSE AWARDS.

8 A REALLY CRITICAL COMPONENT OF THIS
9 PROGRAM IS TO BOLSTER THE KNOWLEDGE AND DATA SHARING
10 REQUIREMENTS BECAUSE THERE'S TWO GOALS THAT WE HAVE
11 FOR THIS PROGRAM. ONE IS TO ENSURE THAT THE RAPID
12 AWARDS CAN LEVERAGE THE COLLECTIVE EXPERIENCE AND
13 KNOWLEDGE OF OUR VAST AWARDEE NETWORK. WE HAVE A
14 LARGE, ACTIVE AWARD PORTFOLIO OF PRECLINICAL
15 PROGRAMS AS WELL AS CLINICAL PROGRAMS. SO HERE, IF
16 YOU HAVE THESE RAPID AWARDEES WHO ARE CREATING A NEW
17 PATHWAY HERE, THEY CAN LEVERAGE A LOT OF THE
18 EXPERIENCE AND KNOWLEDGE FROM OUR EXISTING AWARDEES.

19 SO IN THAT INSTANCE, WHAT WE EXPECT IS TO
20 CREATE AN INTERNAL KNOWLEDGE NETWORK WHERE THE RAPID
21 AWARDEES AND OUR OTHER AWARDEES ARE FACILITATING
22 REAL-TIME SHARING OF STUDY DESIGNS, DATA, RESOURCES,
23 AND REGULATORY EXPERIENCE. AT THE SAME TIME,
24 BECAUSE THIS PROGRAM IS MEANT TO BLAZE A NEW PATHWAY
25 FOR OTHERS TO FOLLOW, IT'S IMPORTANT THAT WE REQUIRE

1 PUBLIC DATA SHARING.

2 SO THE PA AND THE NOA WILL SPELL OUT WHAT
3 CAN BE SHARED, WHEN IT IS SHARED, AND HOW, AND TO
4 WHOM. SO ON THE PUBLIC DATA SHARING SIDE, THE
5 INTENT HERE IS TO BUILD AN EVIDENCE BASE AND ADVANCE
6 BEST PRACTICES IN THE BROADER COMMUNITY AND TO
7 REQUIRE SHARING OF STUDY DESIGNS, FDA INTERACTIONS,
8 AND APPLICABLE DATA DURING THE LIFE CYCLE OF THE
9 AWARD. SO SHORTLY AFTER -- I'LL GIVE YOU AN EXAMPLE
10 OF WHAT THIS MEANS.

11 SO ON THE AWARDEE KNOWLEDGE NETWORK SIDE,
12 PRIOR TO AN FDA INTERACTION, THE AWARDEE MIGHT SHARE
13 ITS STRATEGY WITH THE RELEVANT CIRM AWARDEES WHO
14 HAVE SIMILAR PROJECTS WHO CAN OFFER SOME GUIDANCE ON
15 HERE. AFTER THE FDA INTERACTION, THEY WOULD MAKE
16 THEIR REGULATORY EXPERIENCE AND LEARNINGS AVAILABLE
17 TO THE CIRM AWARDEES TO BENEFIT FROM THAT AND THEY
18 WOULD PUBLISH REDACTED FDA MEETING MINUTES FOR THE
19 PUBLIC SO THAT THE PUBLIC CAN LEARN FROM THAT AS
20 WELL.

21 AND LASTLY, SHOULD THE BOARD APPROVE THIS
22 CONCEPT TODAY, THE CIRM TEAM WILL EMBARK ON EARLY
23 OUTREACH TO APPLICANTS. AND THE INTENT HERE IS TO
24 PROMOTE THE PROGRAM, TO RESPOND TO MOMENTUM IN THE
25 FIELD BY HAVING A CLEAR CONVERSATION ABOUT PLATFORM

BETH C. DRAIN, CA CSR NO. 7152

1 APPROACHES, AND TO FACILITATE COLLABORATIONS THAT
2 WILL INFORM THE BEST PROPOSALS THAT WE CAN GET FOR
3 THIS PROGRAM.

4 SO WE'RE PLANNING TO DO THIS BY HAVING
5 EARLY INTERACTIONS WITH PROSPECTIVE APPLICANTS, BY
6 ENGAGING WITH EXPERTS AND PATIENT ADVOCACY
7 ORGANIZATIONS, AS WELL AS OTHER FUNDING AGENCIES,
8 AND, LASTLY, TO CONVENE FORUMS OR WORKSHOPS THAT
9 BRING TOGETHER KEY STAKEHOLDERS TO TALK ABOUT AND
10 DISCUSS WHAT PLATFORM APPROACHES HAVE BEEN TRIED,
11 WHAT CAN BE LEVERAGED GOING FORWARD, AND WHAT
12 RESOURCES ARE AVAILABLE TO THEM.

13 SO IF THE BOARD APPROVES THIS CONCEPT
14 TODAY, WE WOULD AIM FOR AN APPLICATION TIMELINE THAT
15 WOULD OPEN THE APPLICATIONS MID-YEAR. WE'RE LOOKING
16 AT A NINE-MONTH CYCLE FROM APPLICATION OPEN TO AWARD
17 START, WHICH WOULD MEAN THAT THE FIRST SET OF RAPID
18 AWARDS COULD BE UNDER WAY IN EARLY 2027.

19 SO WITH THAT, THE CIRM TEAM REQUESTS ICOC
20 APPROVAL OF THE PROPOSED RAPID PROGRAM CONCEPT WITH
21 AN INITIAL ALLOCATION OF \$100 MILLION IN THE FIRST
22 TWO FUNDING CYCLES, FISCAL YEAR 26/27 AND FISCAL
23 YEAR 27/28. THANK YOU.

24 MR. FISCHER-COLBRIE: SO MOVED.

25 CHAIRMAN IMBASCIANI: YOU JUMPED THE GUN,

1 BUT I SO MUCH APPRECIATE IT. THANK YOU. SO WE HAVE
2 A MOTION ON THE FLOOR TO ACCEPT THE RECOMMENDATION.

3 MR. TOCHER: AND A SECOND BY MARV.

4 CHAIRMAN IMBASCIANI: IT WAS SECONDED?

5 THANK YOU, MARV. OKAY. THE FLOOR IS OPEN FOR
6 DISCUSSION ON THE RAPID PLATFORM INITIATIVE TO BOARD
7 MEMBERS. WE'LL START WITH THE MOVER.

8 MR. FISCHER-COLBRIE: I JUST WANT TO
9 COMPLIMENT SHYAM AND THE ENTIRE CIRM TEAM FOR
10 CONTINUATION OF DEVELOPING NOVEL APPROACHES THAT ARE
11 INNOVATIVE AND ACCELERATIVE. SO THIS HAS AN
12 OPPORTUNITY TO BE FANTASTIC PROGRAM. SO THANK YOU.

13 CHAIRMAN IMBASCIANI: THANK YOU.

14 ANNE-MARIE.

15 DR. DULIEGE: THANK YOU. GREAT. GREAT
16 SENSE OF INNOVATION. HAVE YOU HAD A CHANCE TO
17 DISCUSS THIS CONCEPT WITH POTENTIAL AWARDEES, PEOPLE
18 WHO WOULD BE INTERESTED? AND CAN YOU GIVE US ONE OR
19 TWO EXAMPLES OF HOW IT WOULD LOOK LIKE?

20 DR. PATEL: SO POTENTIAL AWARDEES, WE'VE
21 HAD SOME CONVERSATIONS WITH SOME OF OUR AWARDEES WHO
22 ARE TRYING THESE TYPES OF APPROACHES. WE DO TEND TO
23 LIMIT DISCUSSIONS WITH POTENTIAL APPLICANTS WHEN WE
24 DO THAT. WE'VE HAD A LOT OF THE CONVERSATIONS WITH
25 THE NIH AND ARPA-H, WHO HAVE SIMILAR EFFORTS UNDER

1 WAY. WE'VE HAD ONGOING DISCUSSIONS WITH THE CHOP
2 TEAM ON WHAT THEY WERE DOING, AND THEY WERE VERY
3 CANDID WITH US EVEN BEFORE THEIR PRE-IND MEETING AS
4 TO WHAT WAS HAPPENING. SO ALL THAT HAS INFORMED OUR
5 APPROACH HERE.

6 AND SO WITHIN OUR OWN AWARDEE NETWORK, WE
7 DO KNOW THAT THERE ARE AT LEAST A FEW APPLICANTS
8 THAT COULD BE SUBMITTING APPLICATIONS THAT MIGHT
9 INVOLVE CRISPR GENE EDITING PROJECTS AS WELL AS AAV
10 PROJECTS.

11 DR. SOUTHARD: I WONDER IF YOU'VE THOUGHT
12 OF WAYS OF PUBLICIZING THIS BECAUSE I THINK THIS AND
13 OTHER THINGS WE'VE TALKED ABOUT TODAY REALLY NEED TO
14 BE OUT TO THE PUBLIC SO THAT THE PUBLIC BECOMES MORE
15 APPRECIATIVE OF WHAT CIRM IS CAPABLE OF AND MAYBE
16 MORE CAPABLE OF IN THE FUTURE.

17 DR. PATEL: YES. SO THAT -- AS YOU KNOW,
18 AMY ADAMS IS OUR DIRECTOR OF COMMUNICATIONS. SO
19 WE'RE WORKING CLOSELY WITH HER ON THAT, AS WELL AS
20 WE HAVE REACHED OUT TO SEVERAL OTHER STAKEHOLDERS
21 LIKE ALLIANCE FOR REGENERATIVE MEDICINE, REACHING
22 OUT TO ASCGT AND OTHERS WHERE THEY CAN ALSO RAISE
23 AWARENESS OF THIS PROGRAM.

24 ONE OF THE THINGS THAT WE REALLY WANT IS
25 FOR THIS TO START A CONVERSATION ABOUT WHAT SORT OF

1 PLATFORM APPROACHES HAVE ACTUALLY BEEN TRIED BEYOND
2 WHAT WE KNOW ABOUT, AND THAT WOULD BEST INFORM THIS
3 PROJECT. WE WOULD ALSO REALLY GREATLY APPRECIATE
4 OUR WONDERFUL 35-MEMBER BOARD TO BE ABLE TO PROMOTE
5 THIS OPPORTUNITY AS WELL.

6 CHAIRMAN IMBASCIANI: ANY OTHER BOARD
7 MEMBERS? IM' SORRY. PAT, YES.

8 DR. LEVITT: SO A FEW QUESTIONS.
9 CONGRATULATIONS. IT'S GREAT. YOU KNOW ME. I'M ALL
10 CHIPS IN. SO I'M VERY MUCH IN FAVOR OF THIS, BUT I
11 HAVE A FEW QUESTIONS.

12 THE FIRST IS SO THERE ARE RARE DISEASE
13 PROJECTS COMING IN THROUGH OTHER MECHANISMS WITH
14 OTHER APPROACHES. HOW DOES THIS PROGRAM AFFECT
15 THOSE? AND IF YOU ARE GOING USE A RUBRIC TO MAKE
16 DECISIONS IF, FOR WHATEVER REASON, YOU HAVE MORE
17 APPLICATIONS THAN YOU THINK YOU ARE GOING TO BE ABLE
18 TO REVIEW, WHAT'S THE RUBRIC GOING TO LOOK LIKE?

19 SO IS IT GOING TO IMPACT THE OTHERS COMING
20 IN THROUGH OTHER MECHANISMS AT CIRM? AND HOW? AND
21 WHAT'S THE RUBRIC?

22 DR. PATEL: WONDERFUL QUESTIONS AS ALWAYS,
23 PAT. AND SO I'LL TALK ABOUT THE FIRST ONE. SO IT
24 REALLY DEPENDS ON WHAT STRATEGY THEY'RE TAKING,
25 RIGHT. IF THEY'RE TAKING A PLATFORM-BASED STRATEGY

1 AND THEY THINK THEY CAN DEVELOP MULTIPLE CANDIDATES,
2 THIS WOULD BE THE RIGHT PROGRAM FOR THEM. IF
3 THEY'RE TAKING A MORE TRADITIONAL APPROACH, THEN THE
4 OTHER PROGRAMS WOULD BE APPROPRIATE FOR THAT.

5 YOUR QUESTION ABOUT THE RUBRIC --

6 DR. LEVITT: WE HAVE PART OF THE
7 DECISION-MAKING EARLY, BEFORE IT GETS REVIEWED, IS
8 IT FILLING A GAP. THIS IS A \$100 MILLION INVESTMENT
9 IN FILLING A GAP, RIGHT, WITH REALLY EXCITING
10 ADVANCED TECHNOLOGIES. SO IS IT NOT GOING TO IMPACT
11 THE CHECK BOX THAT OCCURS WITH THE OTHER BECAUSE
12 LIKE, WELL, WE HAVE THIS OTHER PROGRAM AND THIS IS
13 NOT USING THE MOST ADVANCED APPROACHES. SO THUMBS
14 DOWN. I DON'T KNOW IF THAT WOULD HAPPEN. I'M JUST
15 WONDERING WHETHER IT WOULD HAPPEN.

16 DR. PATEL: IT DEPENDS ON THE TYPES OF
17 APPROACHES AND THE MATURITY OF THOSE APPROACHES AS
18 WELL AS TO WHAT'S POSSIBLE HERE. SO YOU CAN HAVE AN
19 INSTANCE WHERE YOU HAVE A PROJECT HERE WHERE IT'S
20 LOOKING AT LIPID NANOPARTICLE DELIVERY, FOR EXAMPLE,
21 WHICH YOU COULD POTENTIALLY PLATFORMIZE, RIGHT, AND
22 THAT MAY MAKE SENSE FOR THE VALIDATION PROJECT. OR
23 IF THEY'RE DEVELOPING A SINGLE CANDIDATE AND THEY
24 CAN'T ACTUALLY PLATFORMIZE THAT FOR WHATEVER REASON,
25 THEY COULD POTENTIALLY USE THAT AS PART OF A PDEV

1 AWARD. IF YOU HAVE SOMETHING THAT'S VERY NOVEL LIKE
2 A BRAND NEW DELIVERY TECHNOLOGY, THE INNOVATION
3 APPROACH MIGHT BE THE WAY TO GO RATHER THAN GOING
4 FOR A PDEV AWARD WHERE YOU COULD DO IT AT A BIGGER
5 SCALE.

6 TO GET TO YOUR QUESTION ABOUT TRIAGING AND
7 PREFERENCES. SO FOR THIS PARTICULAR PROGRAM, IF WE
8 GOT MORE APPLICATIONS THAN THE GWG CAN REVIEW, THEY
9 WOULD DO A POSITIVE SELECTION PROCESS. SO WHAT THAT
10 MEANS IS THAT ALL THE APPLICATIONS ARE VIEWABLE BY
11 GWG REVIEWERS AND THEY WILL PICK. SO IT WOULD NOT
12 HAVE ANY CHECKLIST CRITERIA.

13 NOW, IF THE BOARD WOULD LIKE FOR US TO
14 REVISE THE PDEV PREFERENCES BASED ON WHAT THEY
15 EXPECT TO COME IN FOR THE RAPID PROGRAM, WE CAN
16 DEFINITELY HAVE THAT CONVERSATION IN MARCH.

17 DR. LEVITT: I THINK THOSE CRITERIA ARE
18 GOING TO BE DISCUSSED IN MARCH, AND WHAT YOU'VE
19 DESCRIBED IS OVERLAPPING, BUT DEFINITELY NOT
20 IDENTICAL. SO I THINK SOME CONSIDERATION.

21 THE SECOND QUESTION IS REAL-TIME DATA
22 SHARING, REAL-TIME PROTOCOL SHARING, WHICH IS GREAT,
23 HOW DOES IT AFFECT INSTITUTIONAL COMMERCIALIZATION?

24 DR. PATEL: GOOD QUESTION. SO THAT'S A
25 VERY RELEVANT QUESTION IF SOME OF THESE HAVE

1 COMMERCIAL POTENTIAL. AND SO PART OF THAT IS GOING
2 TO BE -- WHAT WE'RE THINKING ABOUT RIGHT NOW IS
3 WE'RE ACTUALLY IMPLEMENTING A VERSION OF THE
4 KNOWLEDGE SHARING INTO THE PDEV PROGRAM AS WELL,
5 WHICH YOU KNOW FROM LAST YEAR. AND SO IT'S GOING TO
6 BE CRITICAL TO DO SO IN -- THEY CAN REDACT REALLY
7 PROPRIETARY INFORMATION THAT DOESN'T HAVE IP
8 PROTECTION WOULD BE ONE WAY TO START THAT.

9 IN THE RAPID PROGRAM, WE COULD POTENTIALLY
10 EVEN THINK ABOUT CREATING A CONFIDENTIAL SPACE, IF
11 NEEDED, TO BE ABLE TO SHARE THAT TYPE OF INFORMATION
12 IF IT'S NECESSARY. BUT AT A MINIMUM, BEING ABLE TO
13 REDACT IMPORTANT INFORMATION WOULD BE ONE WAY TO GO.

14 SO, FOR EXAMPLE, WHEN THE CHOP TEAM SHARED
15 THEIR PRE-IND PACKAGE AND THEIR PRE-IND MEETING
16 MINUTES, THE PROPRIETARY ELEMENTS IN THERE WERE SOME
17 OF THE MANUFACTURING COMPONENTS BECAUSE THEY WERE
18 USING A PRIVATE PARTY FOR THAT. AND SO THOSE WERE
19 REDACTED. BUT THE BULK OF THE INFORMATION IN TERMS
20 OF THE QUESTIONS THEY WERE ASKING AND SOME OF THE
21 DATA THEY WERE PROVIDING ON THEIR ASSAYS AND THEIR
22 OWN STUDIES WAS NOT REDACTED. AND THAT IS STILL
23 REALLY INFORMATIVE.

24 CHAIRMAN IMBASCIANI: YSABEL.

25 MS. DURON: THANK YOU. SHYAM, I KNOW

1 YOU'RE NOT GOING TO BE ABLE TO ANSWER THIS. I'M
2 GOING TO START WITH HOPE ASKING FOR COLD, HARD
3 FACTS. WE TALK ABOUT REINVESTING ANY MONIES THAT
4 COMES BACK TO US, RIGHT?

5 DR. PATEL: YES.

6 MS. DURON: I WOULD LIKE TO KNOW WHAT
7 COMES BACK TO US. SO I'M GOING TO ASK, AND I DON'T
8 KNOW IF THAT'S THE CHAIR OR MR. PRESIDENT, BUT I
9 WOULD LIKE REPORT BACK TO US BY MARCH OF
10 HISTORICALLY THE AMOUNT OF MONEY THAT HAS COME BACK
11 TO CIRM, FROM WHICH PROGRAMS HAVE PROVED TO BE, I
12 GUESS, SUCCESSFUL IN ORDER TO GIVE US MONEY BACK
13 INTO OUR TREASURY, AND THEN WHAT AND WHERE HAVE WE
14 DECIDED TO REINVEST THAT MONEY? SO YOU'RE SAYING
15 IT'S A LONGSHOT THAT WE'LL GET MONEY, THAT'S WE'LL
16 SUCCEED.

17 DR. PATEL: SO I'M GOING TO MAKE A
18 DISTINCTION HERE. AND SO THERE'S TWO WAYS THAT WE
19 GET MONEY BACK. SO MULTIPLE WAYS. LET'S FOCUS ON
20 THERE'S THE REVENUE SHARING COMPONENT WHICH YOU ARE
21 REFERRING TO, WHICH WOULD BE REVENUE COMING BACK TO
22 CIRM BASED ON THE REVENUE SHARING PROVISIONS IN OUR
23 AWARDS.

24 THE OTHER PART IS THAT THROUGH NORMAL
25 AWARD MANAGEMENT PROCESSES, AWARDS MIGHT END EARLY,

1 THEY MAY GET TERMINATED. AND SO BECAUSE WE COMMIT
2 FUNDS UP FRONT, WE COMMIT, LET'S SAY, \$10 MILLION UP
3 FRONT, BUT THE AWARD ENDS EARLY OR IS TERMINATED AND
4 WE DIDN'T DEPLOY FIVE MILLION OF THAT. THAT FIVE
5 MILLION COMES BACK INTO THE RESEARCH BUDGET TO BE
6 REDEPLOYED.

7 FOR THIS PROGRAM, WHAT WE'RE SAYING IS
8 THAT WE'LL ONLY DO THAT IN THE EVENT THAT RAPID
9 AWARD FUNDS ARE RECOVERED AND THAT WE CAN REDEPLOY
10 IT.

11 MS. DURON: OKAY. THANK YOU FOR THE
12 CLARIFICATION. I THINK THIS IS WHERE SOME OF OUR
13 SKEPTICISM, AT LEAST FOR THE PUBLIC AND THE
14 TRANSPARENCY FOR THE PUBLIC, HAS TO BE THE CLARITY
15 AND THE CLARIFICATION ABOUT BECAUSE I'M ONLY HEARING
16 ONE THING. TAKE MONEY OUT, PUT IT OUT THERE, PULL
17 IT BACK IN TO REWARD CIRM FOR ITS INVESTMENT.
18 THAT'S WHAT I HEAR. WHAT I HOPE FOR, WHICH I
19 HAVEN'T SEEN ANY REPORTS ABOUT WHETHER WE HAVE BEEN
20 SUCCESSFUL AT ALL. I UNDERSTAND WHAT YOU'RE TALKING
21 ABOUT ON THE OTHER BECAUSE THOSE, I'M SURE, WE'VE
22 SEEN ANY NUMBER OF TIMES. SO I'M STILL WANTING TO
23 ASK FOR A REPORT IF SOMEONE CAN DETERMINE WHAT THAT
24 REPORT'S GOING TO LOOK LIKE AND HOPE IT'S NOT GOING
25 TO BE SO DE FECI GO BACK 20 YEARS.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. THOMAS: YSABEL, WE'LL BE HAPPY TO
2 COME BACK AND REPORT ON THAT QUESTION.

3 MS. DURON: THANK YOU.

4 CHAIRMAN IMBASCIANI: GOOD. THAT'S A NICE
5 ANSWER. I DON'T SEE ANY OTHER HANDS IN THE ROOM.
6 IS THERE ANY BOARD MEMBER ON THE ZOOM THAT WANTS --
7 NO. GOOD. THEN I WOULD LIKE TO INVITE MEMBERS OF
8 THE PUBLIC. CAN'T OFFER YOU A PLATFORM, BUT WE CAN
9 OFFER YOU A MICROPHONE TO MAKE PUBLIC COMMENTS. OR
10 ON THE TELEPHONE. I'M BEING TOLD THERE ARE NO
11 HANDS. OKAY. IF THERE ARE NO OTHER COMMENTS FROM
12 BOARD MEMBERS, WE CAN PROCEED TO A VOTE ON THE
13 MOTION MADE BY MARK FISCHER-COLBRIE TO ACCEPT THE
14 RAPID PROGRAM.

15 MR. TOCHER: ALL THOSE IN THE ROOM IN
16 FAVOR SAY AYE. THOSE OPPOSED SAY NAY. ANY
17 ABSTENTIONS? AND I'LL POLL THE MEMBERS ON THE ZOOM.

18 JUDY CHOU.

19 DR. CHOU: AYE.

20 MR. TOCHER: DEBORAH DEAS.

21 DR. DEAS: YES.

22 MR. TOCHER: ELENA FLOWERS.

23 DR. FLOWERS: YES.

24 MR. TOCHER: JEFF GOLDEN. JEFF, YOU MAY
25 BE MUTED. I'LL COME BACK.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. GOLDEN: YES. SORRY. I'M THERE.

2 YES.

3 MR. TOCHER: THANK YOU, JEFF.

4 LINDA MALKAS. LINDA, PERHAPS YOU'RE MUTED
5 AS WELL. I'LL COME BACK.

6 JOE PANETTA.

7 MR. PANETTA: YES.

8 DR. MALKAS: LINDA IS YES. SORRY. THE
9 LAPTOP WAS BEING A PAIN.

10 MR. TOCHER: NO PROBLEM. THANK YOU,
11 LINDA.

12 AND KAROL.

13 DR. WATSON: YES.

14 MR. TOCHER: THANK YOU VERY MUCH. THANK
15 YOU, MR. CHAIR. THE MOTION CARRIES.

16 CHAIRMAN IMBASCIANI: THANK YOU. LOOKING
17 OUT TO AGENDA ITEM NO. 13.

18 MR. TOCHER: REQUESTING A FIVE-MINUTE BIO
19 BREAK.

20 CHAIRMAN IMBASCIANI: UH-HUH. GRANTED.

21 (A RECESS WAS TAKEN.)

22 CHAIRMAN IMBASCIANI: OKAY. WELCOME BACK
23 FROM YOUR BREAK. WERE ARE NOW UP TO AGENDA ITEM NO.
24 13. AND TO INTRODUCE THIS ITEM, I'M GOING TO HAVE
25 THE CHAIRMAN OF OUR SCIENCE SUBCOMMITTEE, BOARD

BETH C. DRAIN, CA CSR NO. 7152

1 MEMBER MARK FISCHER-COLBRIE, WILL HAVE SOME REMARKS
2 AND MAKE AN INTRODUCTION. THANK YOU, MARK.

3 MR. FISCHER-COLBRIE: THANK YOU. I'M
4 PLEASED TO INTRODUCE THE DIRECTOR OF GRANTS
5 MANAGEMENT, DOUG KEARNEY, TO DISCUSS A MINOR
6 MODIFICATION WITH RESPECT TO OUR AWARDS MANAGEMENT
7 POLICY THAT COVERS ELEMENTS AROUND RATES FOR
8 FACILITIES OVERHEAD. SO WITH THAT, DOUG, TAKE IT
9 AWAY.

10 MR. KEARNEY: THANK YOU, DR.
11 FISCHER-COLBRIE. SO I DID NOTICE THEY JUST BROUGHT
12 OUT POPCORN, BUT I SERIOUSLY DOUBT IT'S GOING TO BE
13 THAT EXCITING. GOOD AFTERNOON, ESTEEMED MEMBERS OF
14 THE BOARD AND PUBLIC. MY NAME IS DOUG KEARNEY. I'M
15 THE DIRECTOR OF GRANTS MANAGEMENT HERE AT CIRM. AND
16 I'M HERE TODAY TO REQUEST APPROVAL FOR A MODIFIED
17 FACILITIES RATE POLICY.

18 IN DECEMBER OF LAST YEAR, THE BOARD
19 APPROVED THE ADOPTION OF A NEW AWARD MANAGEMENT
20 POLICY WHICH WE CALL THE AMP. WHILE CIRM'S
21 ALLOWABLE FACILITIES COST POLICY WAS ARTICULATED IN
22 OUR PREVIOUS GRANTS ADMINISTRATION POLICIES, THE AMP
23 RELIES ON THE BOARD TO SET ALLOWABLE FACILITIES
24 RATES OUTSIDE OF THAT DOCUMENT. THIS METHOD
25 PROVIDES FLEXIBILITY TO THE BOARD TO MAKE FUTURE

1 ADJUSTMENTS TO OUR FACILITIES RATE POLICY IN AN
2 UNCERTAIN NATIONAL LANDSCAPE.

3 WHEN THE AWARD MANAGEMENT POLICY GOES INTO
4 EFFECT LATER THIS QUARTER, CIRM NEEDS TO HAVE A
5 POLICY IN PLACE. AS I JUST DESCRIBED, THE PURPOSE
6 OF THIS PROPOSAL IS TO -- WE HAVE THE OPPORTUNITY TO
7 SIMPLIFY AND CLARIFY THE POLICY AROUND ALLOWABLE
8 FACILITIES RATES FOR Awardees AND THE CIRM TEAM.

9 OUR CURRENT POLICY, WHICH I WILL DESCRIBE
10 IN A MOMENT, HAS WORKED WELL FOR CIRM OVER THE YEARS
11 AND CAN CONTINUE TO DO SO FOR THE FORESEEABLE
12 FUTURE, BUT WE BELIEVE SOME MODIFICATIONS MAY BE
13 BENEFICIAL. THESE MODIFICATIONS ARE LIMITED TO THE
14 ALLOWABLE FACILITIES RATES FOR INSTITUTIONS THAT DO
15 NOT HAVE A FEDERALLY NEGOTIATED RATE.

16 THE IMPACT OF THESE MODIFICATIONS WOULD
17 AFFECT A LIMITED NUMBER OF FUTURE APPLICANTS, AND
18 I'LL TALK MORE ABOUT THAT IN A MOMENT.

19 BOTH CIRM AND THE FEDERAL GOVERNMENT
20 PROVIDE TWO TYPES OF SUPPORT TO SUBSIDIZE THE
21 UNDERLYING COSTS OF DOING RESEARCH, FACILITIES COSTS
22 AND ADMINISTRATIVE COSTS. FACILITIES COSTS COVER
23 GENERAL OPERATING COSTS OF THE FACILITIES AND
24 ADMINISTRATIVE COSTS COVER GENERAL ADMINISTRATION
25 EXPENSES.

1 WE RELY ON FEDERALLY NEGOTIATED RATE
2 AGREEMENTS TO ESTABLISH HOW MUCH TOTAL OVERHEAD OUR
3 AWARDEES CAN REQUEST FROM CIRM. ALTHOUGH CIRM
4 ARRANGES COMPONENTS OF THESE NEGOTIATED RATES
5 DIFFERENTLY BY SEPARATING OUT FACILITIES AND
6 ADMINISTRATIVE RATES, THE RESULT OF OUR CALCULATION
7 METHOD PROVIDES AN EQUIVALENT AMOUNT OF FUNDING TO
8 THE FEDERAL POLICY.

9 AGAIN, WE ARE ONLY TALKING ABOUT
10 FACILITIES COSTS IN THIS PRESENTATION.

11 WHERE OUR POLICY DIFFERS FROM THE FEDERAL
12 POLICY IS WHEN NO RATE AGREEMENT HAS BEEN
13 NEGOTIATED. IN THE FEDERAL SYSTEM, AWARDEES ARE
14 LIMITED TO 15 PERCENT TOTAL FACILITIES IN
15 ADMINISTRATIVE COSTS UNTIL NEGOTIATIONS HAVE BEEN
16 COMPLETED. AT CIRM WE LIMIT FOR-PROFIT AWARDEES AND
17 NONPROFIT AWARDEES WITHOUT ESTABLISHED RATES TO 35
18 PERCENT FACILITIES. THE 35 PERCENT MUST BE
19 NEGOTIATED AND SUPPORTED BY A DEMONSTRATION OF NEED,
20 CREATING ADMINISTRATIVE BURDEN FOR BOTH PARTIES WHEN
21 LAUNCHING AN AWARD.

22 LET'S WALK THROUGH OUR CURRENT PROCESS.
23 SO WE START WITH AN AWARDEE. THAT AWARDEE IS EITHER
24 A FOR-PROFIT OR A NONPROFIT ORGANIZATION. A
25 FOR-PROFIT ORGANIZATION CAN REQUEST UP TO 35 PERCENT

1 FACILITIES SUBJECT TO NEGOTIATION WITH CIRM. A
2 NONPROFIT ORGANIZATION EITHER HAS A FEDERALLY
3 NEGOTIATED RATE OR THEY DO NOT. WHEN THEY HAVE A
4 FEDERALLY NEGOTIATED RATE, CIRM WILL HONOR THAT RATE
5 TO ESTABLISH THE ALLOWABLE CIRM FACILITIES RATES.
6 IF THEY DO NOT HAVE A FEDERALLY NEGOTIATED RATE,
7 THEY CAN REQUEST UP TO 35 PERCENT SUBJECT TO
8 NEGOTIATION WITH CIRM.

9 AND, AGAIN, THE ITEMS HIGHLIGHTED IN GOLD
10 ARE THE ONLY ITEMS THAT WE'RE PROPOSING TO CHANGE IN
11 THIS PROPOSAL.

12 WE PROPOSE THAT CIRM CONTINUE TO ACCEPT
13 FEDERALLY NEGOTIATED RATES PER OUR LONG-STANDING
14 POLICY. FOR NONPROFIT AWARDEES WITHOUT A PREVIOUS
15 RATE AGREEMENT AS WELL AS FOR-PROFIT AWARDEES, THE
16 CIRM FACILITIES RATE WOULD BE LIMITED TO A
17 15-PERCENT FLAT RATE ALIGNING WITH CURRENT FEDERAL
18 POLICY.

19 LET'S WALK THROUGH WHAT THAT PROCESS WOULD
20 LOOK LIKE. AGAIN, WE START WITH AN AWARDEE WHO'S
21 EITHER A FOR-PROFIT OR A NONPROFIT. A FOR-PROFIT
22 APPLICANT CAN REQUEST UP TO 15 PERCENT FACILITIES
23 WITHOUT THE REQUIREMENT FOR NEGOTIATIONS WITH CIRM.
24 A NONPROFIT APPLICANT HAS A CURRENT PROVISIONAL OR
25 PREVIOUSLY NEGOTIATED FEDERAL RATE OR THEY DO NOT.

1 IF THE FORMER, THEN WE WOULD CONTINUE TO HONOR THOSE
2 FEDERALLY NEGOTIATED RATES. IF THEY DON'T HAVE ANY
3 OF THOSE, THEN THEY COULD REQUEST UP TO 15 PERCENT
4 FACILITIES AGAIN WITHOUT THE NEED FOR NEGOTIATIONS
5 WITH CIRM.

6 HIGHLIGHTING IN GOLD THE CHANGES FROM THE
7 PREVIOUS SLIDE. SO WHAT'S THE IMPACT OF THIS
8 PROPOSAL? WHILE 96 PERCENT OF OUR NONPROFIT
9 Awardees DO HAVE FEDERALLY NEGOTIATED RATES AND CIRM
10 CAN CONTINUE TO HONOR THOSE. FOR NONPROFITS WITHOUT
11 RATE AGREEMENTS, CIRM WILL STILL PROVIDE OVERHEAD
12 FUNDING, BUT WITH LESS ADMINISTRATIVE BURDEN. FOR
13 FOR-PROFIT APPLICANTS, THE MAJORITY OF FOR-PROFIT
14 Awardees DON'T REQUEST FACILITIES FROM US AT ALL,
15 OPTING TO MAXIMIZE DIRECT PROJECT COSTS WITHIN OUR
16 TOTAL COST CAPPED AWARDS. SO ALTHOUGH THIS IS
17 LOWERING THE LIMIT FROM 35 TO 15 PERCENT, IT DOES
18 AFFECT A MINORITY OF OUR APPLICANTS, FOR-PROFIT
19 APPLICANTS.

20 THE BENEFITS THAT WE SEE FROM THIS
21 PROPOSAL ARE THAT IT SIMPLIFIES AWARD LAUNCH AND
22 PROVIDES A CLEARLY DEFINED POLICY THAT MORE CLOSELY
23 ALIGNS WITH FEDERAL PRACTICE. IT ELIMINATES HIGHER
24 THAN AVERAGE FACILITIES RATES TO ORGANIZATIONS WHO
25 HAVE NOT GONE THROUGH RIGOROUS FEDERAL RATE

1 NEGOTIATION. AND I SKIPPED OVER SOMETHING THAT I'M
2 GOING TO TALK ABOUT RELATED TO THAT.

3 SO UNDER OUR EXISTING POLICY, A NONPROFIT
4 WITHOUT FEDERALLY NEGOTIATED RATES CAN REQUEST UP TO
5 35 PERCENT FACILITIES RATES. AND ENTITIES THAT HAVE
6 UNDERGONE THAT FEDERAL RATE SETTING PROCESS AVERAGE
7 AROUND 30 PERCENT. SO, THEREFORE, IT'S POSSIBLE TO
8 POTENTIALLY GET MORE FACILITIES RATES WITHOUT HAVING
9 GONE THROUGH THAT FEDERAL RATE SETTING PROCESS,
10 WHICH IS SOMETHING THAT WE'RE HOPING TO CORRECT WITH
11 THIS. MOST IMPORTANTLY, IT RETAINS THE FLEXIBILITY
12 TO ADOPT A NEW MODEL AS NEEDED TO ADEQUATELY SUPPORT
13 CALIFORNIA INSTITUTIONS.

14 SO THE CIRM TEAM REQUESTS THE ICOC APPROVE
15 THE ALLOWABLE FACILITIES RATE STRUCTURE AS PRESENTED
16 TODAY.

17 MR. FISCHER-COLBRIE: SO MOVED.

18 CHAIRMAN IMBASCIANI: THERE WE GO. WE
19 HAVE A MOVEMENT TO ACCEPT THE RECOMMENDATION.

20 DR. DULIEGE: SECOND.

21 CHAIRMAN IMBASCIANI: ANNE-MARIE SECONDED.
22 YES. THANK YOU. SO COMMENTS FROM BOARD MEMBERS.
23 WE'LL START WITH KIM BARRETT. THANKS. AND JOHN.

24 DR. BARRETT: SO THANK YOU VERY MUCH FOR
25 YOUR PRESENTATION. I WONDERED, AS OF RIGHT NOW, IT

1 LOOKS LIKE THE FEDERAL GOVERNMENT'S EFFORTS TO
2 REDUCE F AND A TO 15 PERCENT HAS BEEN AT LEAST
3 STYMIED, IF HOPEFULLY RETIRED. BUT WHAT WOULD BE
4 THE IMPACT IF AT SOME FUTURE POINT CALIFORNIA
5 INSTITUTIONS ARE VERY MUCH UNDERSUPPORTED BY THE
6 FEDERAL GOVERNMENT?

7 MR. KEARNEY: RIGHT. SO GOING BACK TO THE
8 AWARD MANAGEMENT POLICY, IT GIVES THE BOARD THE
9 AUTHORITY TO SET OUR FACILITIES RATE, OUR OVERHEAD
10 RATE POLICIES. SO IF SOMETHING HAPPENED ON THE
11 NATIONAL SCALE THAT REQUIRED A CHANGE IN US RELYING
12 ON THESE FEDERALLY NEGOTIATED RATES, THE BOARD CAN
13 ASK US TO COME BACK WITH PROPOSALS FOR AN ALTERNATE
14 FUNDING STRATEGY.

15 DR. CARETHERS: ALSO THANK YOU FOR THIS
16 PRESENTATION. THIS IS ONLY THE FACILITY PIECE OF
17 THE F AND A. SO I THINK IN THE FEDERAL, IT WAS BOTH
18 F AND A THAT WAS GOING TO GO DOWN. I GUESS MY
19 QUESTION, IF THIS IS PEGGED AT THE FEDERAL RATES,
20 ARE THE -- IS THE ADMINISTRATION COMPONENT, I KNOW
21 YOU DIDN'T TALK ABOUT THAT, IS THAT ALSO PEGGED AT A
22 NEGOTIATED RATE OR A FEDERAL RATE?

23 MR. KEARNEY: WE HAVE AN INDIRECT COST
24 POLICY OF 20 PERCENT. SO I REFERRED THAT WE SORT OF
25 CALCULATE THINGS DIFFERENTLY, BUT IT COMES OUT TO

1 AROUND THE SAME AMOUNT OF FUNDING. WE'RE NOT
2 PROPOSING ANY CHANGES TO OUR ADMINISTRATIVE OVERHEAD
3 RATES AT THIS TIME. SO YOU'RE RIGHT. I DIDN'T TALK
4 ABOUT THAT IN THIS BECAUSE WE'RE TALKING ABOUT
5 FACILITIES, BUT THAT DOES IMPACT THE OVERALL
6 OVERHEAD THAT OUR Awardees CAN RECEIVE.

7 CHAIRMAN IMBASCIANI: GEORGE.

8 DR. BLUMENTHAL: THANK YOU. YOU ALLUDED
9 TO THE FACT THAT PRIVATE ENTITIES HAVE MORE
10 FLEXIBILITY WITH REGARD TO DIRECT CHARGES. COULD
11 YOU SAY A LITTLE BIT MORE ABOUT THAT?

12 MR. KEARNEY: SURE. SO OUR AWARD
13 MECHANISMS ARE ALL TOTAL COST CAPPED AWARDS. SO
14 EVERY APPLICANT CAN ASK FOR THE SAME LIMIT, TOTAL
15 LIMIT. OUR NONPROFIT Awardees GENERALLY HAVE
16 OVERHEAD RATES THAT THEY MUST CHARGE TO ALL
17 SPONSORED RESEARCH PROJECTS TO STAY IN BUSINESS.
18 WHEREAS, WHAT WE FOUND WITH FOR-PROFIT APPLICANTS IS
19 MANY OF THEM WILL NOT ASK US FOR OVERHEAD SUPPORT
20 AND INSTEAD MAXIMIZE UP TO THE AWARD CAP AND ASK FOR
21 DIRECT PROJECT COSTS AND NOT ASK CIRM FOR THOSE
22 OVERHEAD COSTS. SO THAT'S BEEN A VERY CLEAR
23 TRAJECTORY WHERE NONPROFITS ALWAYS ASK FOR IT.
24 FOR-PROFITS USUALLY DON'T.

25 DR. BLUMENTHAL: IS THERE A DIFFERENCE IN

BETH C. DRAIN, CA CSR NO. 7152

1 THE WAY THAT DIRECT COSTS ARE CALCULATED BETWEEN
2 THOSE TWO GROUPS?

3 MR. KEARNEY: NO.

4 CHAIRMAN IMBASCIANI: OKAY. ANY OTHER
5 COMMENT FROM BOARD MEMBERS IN THE ROOM? HOW ABOUT
6 ONLINE? NO COMMENTS. OKAY. SO ANY MEMBER OF THE
7 PUBLIC WANT TO COMMENT ON THE MOTION ON THE FLOOR?
8 AND THERE ARE NO HANDS RAISED. IN THAT CASE WE CAN
9 PROCEED TO A VOTE THEN. VOICE VOTE.

10 MR. TOCHER: ALL THOSE IN THE ROOM IN
11 FAVOR SAY AYE. AND THOSE OPPOSED SAY NAY. ANY
12 ABSTENTIONS? AND I'LL POLL THOSE ON THE PHONE.

13 JUDY CHOU.

14 DR. CHOU: AYE.

15 MR. TOCHER: DEBORAH DEAS.

16 DR. DEAS: YES.

17 MR. TOCHER: ELENA FLOWERS.

18 DR. FLOWERS: YES.

19 MR. TOCHER: JEFF GOLDEN.

20 DR. GOLDEN: YES.

21 MR. TOCHER: LINDA MALKAS.

22 DR. MALKAS: YES.

23 MR. TOCHER: JOE PANETTA. KAROL WATSON.

24 DR. WATSON: YES.

25 MR. TOCHER: THANK YOU VERY MUCH. THE

1 MOTION CARRIES.

2 CHAIRMAN IMBASCIANI: THANK YOU.

3 ADVANCING NOW TO ITEM 15 OF THE AGENDA, THIS IS A
4 DISCUSSION ON OUR 2023 TO 24 FINANCIAL AUDIT. OUR
5 VICE PRESIDENT FOR OPERATIONS, JENN LEWIS, WILL LEAD
6 THE DISCUSSION AND INTRODUCE OUR AUDITOR.

7 MS. LEWIS: THANK YOU, CHAIR IMBASCIANI
8 AND MEMBERS OF THE BOARD. THANK YOU FOR GIVING ME
9 THE OPPORTUNITY TO INTRODUCE THE FISCAL YEAR 23/24
10 FINANCIAL AUDIT.

11 AS BACKGROUND, PER PROPOSITION 14, CIRM IS
12 REQUIRED TO HAVE AN INDEPENDENT AUDIT OF OUR
13 FINANCIAL STATEMENTS ON AN ANNUAL BASIS, WHICH IS
14 THEN REVIEWED AND AUDITED BY THE STATE CONTROLLER'S
15 OFFICE FOR A QUALITY REVIEW THEY CALL IT.

16 FOR 23/24 FISCAL YEAR, CIRM ENGAGED
17 MACIAS, GINI & O'CONNELL FOR THAT INDEPENDENT AUDIT,
18 AND WE REFER TO THEM AS MGO, TO COMPLETE THE
19 INDEPENDENT AUDIT. THIS AUDIT TESTED THE FAIR
20 REPRESENTATION OF OUR FINANCIAL DATA, LOOKED AT OUR
21 INTERNAL CONTROLS TO ENSURE THAT WE WERE NOT
22 MISREPRESENTING ANY FINANCIAL DATA DUE TO ERROR OR
23 FRAUD.

24 AND ON BEHALF OF THE CIRM'S FINANCE TEAM,
25 I'M HAPPY TO REPORT THAT, ONCE AGAIN, THERE WERE NO

1 FINDINGS IN THIS AUDIT.

2 THE AUDIT WAS CERTIFIED BY THE STATE
3 CONTROLLER'S OFFICE SUBSEQUENT TO THE COMPLETION BY
4 MGO. AND THEN IN DECEMBER OF LAST YEAR, IT WAS
5 PRESENTED TO THE CITIZENS' FINANCIAL ACCOUNTABILITY
6 AND OVERSIGHT COMMITTEE THAT IS HEAD BY THE STATE
7 CONTROLLER.

8 I WANT TO THANK DIRECTOR OF FINANCE
9 MICHELE LEWIS, WHO'S ON THE LINE, AS WELL AS HER
10 TEAM, SENIOR FINANCE OFFICER SUMI THOMASIN WHO LED
11 THAT PROCESS.

12 AND NOW I'D LIKE TO INTRODUCE OUR
13 AUDITORS, RUSSELL ROBERTSON, WHO IS THE ASSURANCE
14 DIRECTOR AT MGO, AND KATHLEEN FOSTER, WHO IS THE
15 ASSURANCE MANAGER AT MGO, WHO WILL REVIEW THE
16 RESULTS OF THAT AUDIT ANALYSIS AND ANY CONCLUSIONS.
17 AND HAPPY TO ANSWER ANY QUESTIONS.

18 MR. ROBERTSON: THANKS, JENN. THANKS,
19 BOARD, FOR ALLOWING US TO DO A QUICK OVERVIEW OF THE
20 23/24 AUDIT RESULTS. JENN DID A GREAT SUMMARY OF
21 WHY YOU'RE HAVING THIS AUDIT AS A REQUIREMENT. AND
22 I WILL GO THROUGH BRIEFLY SOME OF THE RESULTS AND
23 HIGHLIGHT SOME OF THE OPERATIONS FOR THE PREVIOUS
24 YEAR. NEXT SLIDE PLEASE.

25 HERE'S THE QUICK AGENDA. I'M GOING TO

1 BRIEFLY GO OVER THE SCOPE AND THE SERVICES AND
2 DELIVERABLES THAT WERE PRESENTED FOR FISCAL YEAR
3 23/24, AGAIN GIVE YOU THE AUDIT RESULTS, SOME
4 OPERATIONAL HIGHLIGHTS. AND THERE'S -- I'LL GO INTO
5 A SECONDARY REPORT CALLED THE REQUIRED COMMUNICATION
6 REPORT THAT'S PRESENTED TO THE BOARD AS WELL THAT
7 YOU SHOULD HAVE BOTH THE INDEPENDENT AUDIT REPORT
8 AND THIS COMMUNICATION REPORT. I'LL GO OVER THAT
9 BRIEFLY AND GO OVER SOME OF THE HIGHLIGHTS IN THAT
10 REPORT AS WELL. NEXT SLIDE PLEASE.

11 AS INDICATED, THE SCOPE OF OUR SERVICES
12 FOR THIS AUDIT WAS FOR THE BASIC FINANCIAL
13 STATEMENTS FOR FISCAL YEAR 24. THE AUDIT WAS
14 CONDUCTED LAST YEAR AND ISSUED SOMETIME IN LATE
15 SUMMER, AND NOW WE ARE GETTING TO THE RESULTS. WITH
16 THOSE DELIVERABLES, WE HAVE WHAT IS CALLED
17 INDEPENDENT AUDIT REPORT. IN THAT REPORT IS THE
18 MAIN PIECE OF THE AUDIT WHERE WE PROVIDE OUR OPINION
19 OVER THE FINANCIAL STATEMENTS AND THE MATERIAL
20 ASPECTS OF THOSE FINANCIAL STATEMENTS.

21 WE ALSO HAVE A SECONDARY REPORT IN THERE,
22 AND THAT REPORT IS ON THE INTERNAL CONTROL OVER
23 FINANCIAL REPORTING. I ALWAYS LIKE TO SAY IN THIS
24 REPORT WE ASSESS INTERNAL CONTROL, AND WE DO TEST
25 THOSE CONTROLS. WE DON'T PROVIDE AN OPINION OVER

1 THOSE INTERNAL CONTROLS. SO YOU WON'T SEE THAT IN
2 THAT REPORT. BUT IF THERE WERE ANY ISSUES THAT
3 POPPED UP THROUGH THOSE TESTS THAT WE DID, WE WOULD
4 IDENTIFY THOSE AND COMMUNICATE THOSE AS WELL. AND
5 THEN THE SECONDARY REPORT THAT I'LL BE TALKING ABOUT
6 IS THAT COMMUNICATIONS AT THE CONCLUSION OF THE
7 AUDIT. NEXT SLIDE PLEASE.

8 SO AS JENN WAS INDICATING, FOR THE FISCAL
9 YEAR 24, WE PROVIDED MODIFIED OPINIONS OVER THE
10 FINANCIAL STATEMENTS. AND WHAT THAT REALLY MEANS IN
11 A NUTSHELL IS THAT THE FINANCIAL STATEMENTS PRESENT
12 FAIRLY IN ALL MATERIAL RESPECTS OF CIRM'S FINANCIAL
13 POSITION AND THE RESULTS OF OPERATIONS. AND THOSE
14 ARE IN ACCORDANCE WITH GENERALLY ACCEPTED ACCOUNTING
15 PRINCIPLES. THERE WERE NO MATERIAL MISSTATEMENTS,
16 NO SCOPE LIMITATIONS. WE WERE ABLE TO AUDIT
17 EVERYTHING. OUR FOCUS IS ON MATERIAL AREAS, LOOK AT
18 THE EXPENDITURES, ANY OF THE GRANTS THAT WERE
19 PROVIDED TO CIRM DURING THE YEAR, THE COLLECTIONS OF
20 MONIES RECEIVED. SO WE LOOK AT MATERIAL AREAS IN
21 THE OPERATIONS OF CIRM. SO THERE WERE NO SCOPE
22 LIMITATIONS. WE WERE ABLE TO TEST EVERYTHING WE
23 COULD. NO DISAGREEMENTS WITH MANAGEMENT DURING THAT
24 AUDIT.

25 AND UNDER GOVERNMENTAL AUDITING STANDARDS,

1 AS I INDICATED, WE TESTED CONTROLS AND THERE WAS NO
2 FINDINGS IDENTIFIED. AND THERE WERE NO INSTANCES OF
3 NONCOMPLIANCE WITH LAWS AND REGULATIONS.

4 SO OVERALL A VERY CLEAN, EFFICIENT AUDIT,
5 NO PROBLEMS, AS JENN WAS INDICATING. SO VERY EASY
6 TO GET THROUGH THE AUDIT. AND WE WERE ABLE TO GET
7 ALL THE INFORMATION WE NEEDED TO CONDUCT THAT AUDIT.
8 SO EVERYTHING WAS GOOD ON THAT FRONT. NEXT SLIDE
9 PLEASE.

10 SO IN THE NEXT COUPLE SLIDES, I'LL BRIEFLY
11 GO OVER SOME VERY HIGH LEVEL OPERATIONAL HIGHLIGHTS.
12 THAT WAS THE FOCUS OF OUR AUDIT. IT WAS THE REVENUE
13 COMING IN OBVIOUSLY AND THE EXPENDITURES GOING OUT.
14 AS WE IDENTIFIED AND YOU WILL SEE IN THE REPORT, THE
15 CHANGE IN FINANCIAL POSITION AND LIQUIDITY INCREASED
16 BY 124 MILLION FROM 213 TO 337. THE MAIN DRIVER OF
17 THIS WAS PROP 14 GO BONDS, GENERAL OBLIGATION BONDS
18 PROCEEDS OF 383 MILLION AND PROPOSITION 71
19 COMMERCIAL PAPER OF 52.8 MILLION. AND THERE WAS
20 SOME HIGHER INVESTMENT EARNINGS, ROUGHLY ABOUT SIX
21 OR SEVEN -- 9 MILLION INCREASE IN INVESTMENT
22 EARNINGS BECAUSE OF THE INFUX OF CASH DURING THE
23 YEAR THAT WAS HELD BY CIRM. NEXT SLIDE PLEASE.

24 OUR FOCUS WAS, OF COURSE, ON THE MAJOR
25 PROGRAMS. WE IDENTIFY HERE RESEARCH GRANTS TOTAL

1 NEARLY \$300 MILLION. THAT REPRESENTED A MAJORITY OF
2 THE EXPENSES FOR THE YEAR. THIS WAS AN INCREASE OF
3 104 MILLION YEAR OVER YEAR FROM '23. WE HAVE
4 IDENTIFIED THE THREE MAJOR FUND AREAS HERE. FOR THE
5 STEM CELL RESEARCH AND CURES FUND, GRANT
6 EXPENDITURES INCREASED SIGNIFICANTLY AS THE FUND
7 WORKS TO BE FULLY EXPENDING THE PROP 71 AUTHORIZED
8 FUNDING.

9 THE STEM CELL RESEARCH AND CURES FUND, THE
10 INCREASED PAYMENTS WAS BECAUSE OF OVERALL INCREASED
11 ACTIVITY WHILE NEW GRANTS WERE AWARDED DURING THE
12 YEAR, AND SOME MILESTONES WERE MET AS THE COMPLETION
13 OF THE GRANTS FROM THE PRIOR YEAR. AND THE REVENUES
14 AND ROYALTIES, NO ACTIVITY DURING THE YEAR, BUT CIRM
15 IS WORKING TOWARDS DEVELOPING A PLAN. AND PROBABLY
16 BY FISCAL YEAR '25 THAT'S ALREADY ROLLING OUT
17 BECAUSE WE ARE A YEAR -- REPORTING ON A YEAR AND
18 BACK. SO THAT'S PROBABLY ALREADY IN THE WORKS AND
19 ENROLLING ELIGIBLE PATIENTS FOR THE AWARDS. NEXT
20 SLIDE PLEASE.

21 THIS SLIDE FOCUSES ON THE REQUIRED
22 COMMUNICATION REPORT. IN THIS REPORT THIS IS REALLY
23 FOR YOU AS A GOVERNING BODY. THIS REALLY HIGHLIGHTS
24 ANY CHANGES YEAR OVER YEAR, ANY NEW ACCOUNTING
25 POLICIES THAT ARE INITIATED, ANY NEW OR SIGNIFICANT

1 ACCOUNTING ESTIMATES. THERE WERE SOME NEW
2 ACCOUNTING STANDARDS; HOWEVER, THEY DIDN'T HAVE AN
3 IMPACT ON CIRM FOR FISCAL YEAR '24. THERE WAS A
4 COUPLE THAT DIDN'T REALLY MATERIALLY AFFECT THE
5 OPERATIONS OF CIRM. THERE IS NO MISSTATEMENTS AS
6 INDICATED EARLIER. IF THERE WAS, THEY WOULD BE IN
7 THIS REPORT. NO DISAGREEMENTS, AS I INDICATED
8 BEFORE, AND NO SUBSEQUENT MATTERS TO REPORT ON.

9 SO OVERALL, AS YEAR TO YEAR GOES, THERE
10 WAS AN INCREASE OF EXPENDITURES, BUT REALLY A SMOOTH
11 TRANSITION FROM '23 TO '24. NO SIGNIFICANT CHANGES
12 ON HOW THE FINANCE TEAM CONDUCTS OPERATIONS. SO
13 VERY CLEAN. AND REALLY APPRECIATE WORKING WITH
14 CIRM'S STAFF TO GET THIS AUDIT COMPLETED. I THINK
15 THAT'S THE LAST SLIDE FOR MY PRESENTATION, BUT I'M
16 HERE TO ANSWER ANY QUESTIONS IF THERE ARE ANY.

17 MR. TOCHER: MARK.

18 MR. FISCHER-COLBRIE: JUST HAD A QUESTION.
19 I ASSUME THAT ONE OF THE STEPS IN THE PROCESS WILL
20 BE A REVIEW BY THE CITIZENS FINANCIAL ACCOUNTABILITY
21 OVERSIGHT COMMITTEE. I THINK THAT'S GOING TO HAPPEN
22 AFTER THIS; IS THAT CORRECT? JUST WANTED TO CONFIRM
23 THAT.

24 MS. LEWIS: FOR FISCAL YEAR 23/24, THE
25 CONTROLLER SCHEDULED THAT MEETING IN LATE DECEMBER.

BETH C. DRAIN, CA CSR NO. 7152

1 SO ACTUALLY DUE TO THE TIMING, UNFORTUNATELY WE HAD
2 TO BRING THAT TOO, BUT THAT HAS BEEN REVIEWED BY THE
3 CFAOC AS WELL IN LATE DECEMBER AND THEN
4 SUBSEQUENTLY HERE AT THE BOARD MEETING. AND THEN
5 NEXT YEAR, OBVIOUSLY FISCAL YEAR 24/25, WE'VE
6 COMPLETED THAT AUDIT. WE'VE SUBMITTED IT TO THE
7 STATE CONTROLLER'S OFFICE FOR QUALITY REVIEW. ONCE
8 WE GET THAT COMPLETED, THEN WE WILL BRING IT TO THE
9 BOARD.

10 MR. FISCHER-COLBRIE: THANK YOU.

11 CHAIRMAN IMBASCIANI: ANY OTHER QUESTIONS
12 OF THE AUDITOR OR OF JENN OR ON ZOOM?

13 MS. FOSTER: THIS IS KATHLEEN FOSTER.
14 JUST BRIEFLY, THE STATE CONTROLLER'S OFFICE IS
15 CURRENTLY IN THE MIDDLE OF THEIR REVIEW FOR 24/25'S
16 AUDIT. SO HOPEFULLY WE'LL BE ABLE TO PRESENT IT
17 SOONER TIMewise FROM THE END OF THE PERIOD.

18 CHAIRMAN IMBASCIANI: SURE. OKAY. WE'LL
19 LOOK FORWARD TO THAT. THANK YOU. JENN, GOOD
20 REPORT. IT'S ALWAYS GOOD NEWS AS USUAL. THANK YOU
21 SO MUCH.

22 SENIOR DIRECTOR OF COMMUNICATIONS, AMY
23 ADAMS, IS GOING TO BRING US UPDATE ON
24 COMMUNICATIONS, ITEM NO. 16.

25 MS. ADAMS: THANK YOU, MEMBERS OF THE

1 BOARD, CIRM TEAM, AND MEMBERS OF THE PUBLIC
2 LISTENING IN. I WANT TO START THIS PRESENTATION,
3 I'M ALWAYS UP HERE BABBLING AWAY AT YOU GUYS WHILE
4 MY TEAM WORKS VERY HARD. I WANT TO SAY RIGHT NOW AS
5 I TELL YOU ABOUT WHAT WE'VE HAVE DOING AND WILL DO
6 IN THE FUTURE, THEY'RE WORKING VERY HARD GETTING A
7 PRESS RELEASE OUT ABOUT THE RAPID PROGRAM, POSTING A
8 STORY ABOUT THE BOARD MEETING SO THAT EVERYONE IN
9 THE CALIFORNIA PUBLIC CAN KNOW WHAT'S BEEN GOING ON.
10 THERE'S A RAPID BLOG ITEM GOING UP. THERE'S TWO
11 STORIES BEING WRITTEN ABOUT THE TWO PRESENTATIONS
12 THAT HAPPENED, AND ALL OF THIS IS HAPPENING WHILE I
13 STAND HERE -- I'M IGNORING ALL THE SLACKS THAT
14 THEY'RE SENDING ME RIGHT NOW. SO THINGS ARE
15 DELAYED, IT'S BECAUSE OF THIS. I THINK IT'S REALLY
16 IMPORTANT TO ACKNOWLEDGE THE PEOPLE WHO ARE DOING SO
17 MUCH HARD WORK.

18 WITH THAT, TODAY I'M GOING TO INTRODUCE A
19 COMMUNICATIONS TOOLKIT THAT I'VE DEVELOPED TO HELP
20 PEOPLE TALK ABOUT CIRM. DEVELOPING THIS TOOLKIT AND
21 TRAINING PEOPLE TO USE IT WERE CALLED OUT AS
22 SPECIFIC TACTICS IN THE STRATEGY THAT I PRESENTED AT
23 THE LAST MEETING. TODAY I'LL ALSO UPDATE YOU ON A
24 FEW OF THE ACTIVITIES THE TEAM HAS BEEN ENGAGED IN
25 IN ADDITION TO WHAT THEY'RE DOING TODAY.

1 AS ALWAYS, I START WITH CIRM'S MISSION OF
2 TRANSFORMATIVE TREATMENTS AND THE SCIENTISTS,
3 STUDENTS, AND PATIENTS WHO ARE TOP OF MIND IN ALL OF
4 OUR ACTIVITIES. AND A REMINDER OF THE
5 COMMUNICATIONS AND OUTREACH TEAM MISSION WHICH
6 DEFINES OUR ROLE IN HELPING CIRM ACHIEVE ITS
7 MISSION.

8 AT THE LAST MEETING I PRESENTED OUR
9 COMMUNICATIONS STRATEGY, COMMUNICATIONS AND OUTREACH
10 STRATEGY. IT CONSISTS OF FOUR PARTS. CREATE THE
11 STORY. TELL THE STORY. DELIVER THE STORY. AND
12 MEASURE THE IMPACT.

13 THE TOOLKIT I'M GOING TO TALK ABOUT TODAY
14 MOSTLY ADDRESSES THE FIRST TWO PARTS OF THAT
15 STRATEGY, CREATE THE STORY AND TELL THE STORY.
16 FIRST, HIGHLIGHTED IN RED HERE, THE NARRATIVE AND
17 DECK. THAT WAS WHAT J.T. PRESENTED AT THE LAST
18 BOARD MEETING. IT IS NOW PART OF THE TOOLKIT. IT
19 IS AVAILABLE TO ALL OF YOU. IT IS POSTED TO THE
20 AGENDA. YOU CAN REACH OUT ANY TIME AND WE CAN GIVE
21 YOU A COPY OF THAT DECK WITH THE ASSOCIATED SCRIPT.
22 AND JUST TEEING UP SOMETHING THAT'S NOT QUITE DONE
23 YET, BUT WILL BE. WE'RE CREATING SOME VARIATIONS ON
24 THAT DECK JUST SO THAT PEOPLE HAVE SOME DIFFERENT
25 VERSIONS THAT MIGHT FEEL MORE APPROPRIATE FOR THEM

1 OR THEIR AUDIENCES.

2 JUST A REMINDER ABOUT THAT NARRATIVE AND
3 DECK FOR THOSE OF YOU WHO HAVE FORGOTTEN IT IN THE
4 BUSY LAST MONTH. MIXED CIRM'S MISSION PERSONAL. IT
5 FOCUSES ON REAL PATIENTS WHOSE LIVES HAVE BEEN
6 IMPACTED BY CIRM'S WORK. IT'S ALSO VERY BEAUTIFUL.
7 I THINK THE VISUAL ELEMENT OF IT REALLY HELPS
8 HIGHLIGHT THE EMOTIONS IN THE STORY.

9 IT ALSO, CONVENIENTLY, HITS ALL FOUR OF
10 CIRM'S KEY MESSAGES WHICH I'M GOING TO TALK ABOUT
11 NEXT.

12 OKAY. SO THE NEXT PART OF MY STRATEGY IS
13 TELLING CIRM'S STORY. AND WE'RE GOING TO FOCUS
14 THERE FOR A LITTLE BIT TODAY. JUST A REMINDER.
15 HERE'S THE TOOLKIT. WE'RE GOING TO START BY TALKING
16 ABOUT SOME MESSAGING. THE MESSAGING IS ATTACHED TO
17 THE MEETING AGENDA, AND YOU'RE ALL WELCOME TO TAKE A
18 LOOK AT IT. I'M HAPPY TO TAKE QUESTIONS NOW OR
19 LATER ABOUT EVERYTHING IN THE MESSAGING. I'M NOT
20 GOING THROUGH EVERY WORD OF THAT MESSAGING DOCUMENT
21 UP HERE BECAUSE IT'S BEEN A LONG DAY. I'M GOING TO
22 GO THROUGH SOME KEY POINTS THOUGH JUST SO WE ALL
23 KIND OF GET OUR HEADS AROUND HOW TO USE THAT
24 MESSAGING.

25 TO BE CLEAR, THIS MESSAGING DOESN'T

1 NECESSARILY SAY ANYTHING NEW. WE'RE THE SAME
2 ORGANIZATION WITH THE SAME MISSION, BUT IT HELPS US
3 TALK ABOUT THE ORGANIZATION IN A WAY THAT MIGHT
4 RESONATE MORE WITH OUR VARIOUS AUDIENCES. SOME OF
5 YOU WHO WERE HERE MIGHT RECALL THAT IN JUNE AT THIS
6 VERY PODIUM I SAID ONE REASON I THOUGHT CIRM WAS
7 STRUGGLING TO BE BETTER KNOWN IN THE STATE WAS
8 BECAUSE SOME OF THE WORDS WE USE IN TALKING ABOUT
9 OURSELVES, INSTITUTE, REGENERATIVE MEDICINE, STEM
10 CELLS. THESE ARE KIND OF BIG, COMPLEX WORDS AND
11 AREN'T GOING TO RESONATE WITH EVERYONE.

12 THIS IS A REAL WORD CLOUD I MADE FROM OUR
13 NEW MESSAGING. IT'S GOT SOME OF THE SAME WORDS IN
14 THERE. YOU CAN'T GET AWAY FROM THE WORD STEM CELL
15 AND REGENERATIVE MEDICINE, BUT WE'VE GOT SOME NEW
16 WORDS IN THERE TOO, THERAPIES, CURES, ACCESS,
17 MEDICINE, AND SOME OTHER WORDS THAT I THINK WILL
18 HELP US TALK ABOUT OURSELVES IN TERMS OF THAT ARE A
19 LITTLE MORE REAL TO PEOPLE.

20 I'LL GO THROUGH A FEW SECTIONS OF THE
21 MESSAGING TODAY. IT INCLUDES FOUR KEY MESSAGES
22 SHOWN HERE. AND LATER IN MY PRESENTATION, I'M GOING
23 TO GIVE YOU EXAMPLES OF HOW WE CAN USE THESE
24 MESSAGES. HANG ON. THERE'S AN ANIMATION THAT IS
25 NOT WORKING. THAT'S OKAY. THESE FOUR MESSAGES COME

1 DOWN TO THE FOLLOWING CONCEPTS. OUR FUNDING FOCUSES
2 ON CURES OR THERAPIES. WE'RE GOING TO TALK ABOUT
3 THAT IN A MINUTE. WE WORK TO MAKE SURE CALIFORNIANS
4 FROM ACROSS THE ENTIRE STATE CAN BENEFIT FROM OUR
5 CLINICAL TRIALS AND EVENTUAL THERAPIES. WE BRING
6 ADDITIONAL EDUCATIONAL AND ECONOMIC BENEFITS TO THE
7 STATE. AND WE'RE ADVANCING ALL OF THIS TO BENEFIT
8 CALIFORNIANS AT A TIME WHEN FEDERAL FUNDING IS LESS
9 SECURE. AND I'VE HIGHLIGHTED SOME KEYWORDS HERE
10 THAT KIND OF TOUCH ON THOSE KEY MESSAGES.

11 SO REALLY IF YOU USE SOME HANDFUL OF THESE
12 WORDS IN A SENTENCE, YOU'RE PRETTY MUCH ON MESSAGE.
13 I'M TRYING TO MAKE IT EASY FOR YOU.

14 SO THE MESSAGING DOCUMENT INCLUDES SOME
15 TALKING POINTS TO HELP YOU ADDRESS THESE KEY
16 MESSAGES IN CONVERSATIONS AND PRESENTATIONS. I
17 THINK THE SECOND PAGE HAS EACH OF THESE KEY MESSAGES
18 AND SOME PROOF POINTS AND LANGUAGE THAT YOU CAN USE
19 TO TALK ABOUT THESE MESSAGES. I'M NOT GOING TO GO
20 THROUGH THEM NOW, BUT I ENCOURAGE YOU TO LOOK AT
21 THEM. WE TRIED TO WRITE THOSE IN LANGUAGE THAT FELT
22 VERY NATURAL, LANGUAGE THAT YOU COULD WORK INTO AN
23 EMAIL OR A PRESENTATION OR ANY PIECE OF WRITING TO
24 HELP YOU BE ON MESSAGE AND ALSO SOUND COMFORTABLE.

25 THE DOCUMENT ALSO INCLUDES THESE PHRASES.

1 BEHIND EVERY NUMBER IS A NAME. BEHIND EVERY
2 DISEASE, CONDITION, OR INJURY IS A PERSON. WE HAVE
3 THE POWER TO CREATE CURES THAT CHANGE LIVES. ALL
4 THIS BECAUSE CALIFORNIANS CHOSE TO INVEST IN
5 WORLD-CLASS SCIENCE. AND THOSE ARE THEMES THAT WERE
6 IN THE PRESENTATION THAT J.T. DELIVERED.

7 THESE ARE OBVIOUSLY NOT WORDS THAT FLOW
8 OFF THE TONGUE. YOU'RE PROBABLY NOT GOING TO WEAVE
9 THIS INTO A CONVERSATION WITH YOUR NEIGHBOR, BUT
10 THEY ARE THEMES THAT I THINK ARE VERY IMPORTANT AND
11 ARE WORTH KEEPING IN MIND. FOR THE FIRST ONE,
12 ANYTIME WE TALK ABOUT NUMBERS OF AWARDS OR THE
13 NUMBER OF CLINICAL TRIALS WE HAVE FUNDED, WHICH
14 THESE ARE NUMBERS WE USE QUITE A BIT, WE ALWAYS NEED
15 TO TALK ABOUT THE PATIENTS WHO STAND TO BENEFIT FROM
16 THAT WORK. WE'RE NOT JUST AN AGENCY THAT GIVES OUT
17 AWARDS OR AN AGENCY THAT TRIES TO HELP PATIENTS.

18 WHEN WE TALK ABOUT DISEASES OR CONDITIONS
19 OUR RESEARCHERS FOCUS ON, ALSO TALK ABOUT PEOPLE
20 LIVING WITH THOSE CONDITIONS. WHAT IS IT LIKE TO
21 HAVE THAT CONDITION, AND WHY DO WE WANT TO HELP
22 THEM? FOCUS ON HOW YOUR WORK AND CIRM'S ACTIVITIES
23 WILL DELIVER CURES OR THERAPIES AND BENEFIT
24 CALIFORNIANS ACROSS THE STATE. AND REMIND PEOPLE
25 THAT CALIFORNIA IS INVESTING IN THIS WORK AT A TIME

1 WHEN THE FUNDING LANDSCAPE IS UNCERTAIN.
2 THE FINAL PAGE OF THE MESSAGING, IT'S
3 CALLED OUR MISSION IN MOTION. THE MISSION IN
4 MOTION, THAT'S OUR STRATEGIC ALLOCATION FRAMEWORK
5 THAT GUIDES OUR FUNDING PROGRAMS. THE SAF IS HOW
6 WE'RE GOING TO MAKE GOOD ON THE PROMISE IN OUR KEY
7 MESSAGES. THE SAF IS DEEPLY CONSIDERED AND
8 THOUGHTFULLY OUTLINED; AND IT'S, THEREFORE, A LOT TO
9 TAKE IN, WHICH HAS MADE IT HARD FOR US TO TALK ABOUT
10 IT IN WAYS THAT REALLY EXPLAIN ITS IMPORTANCE TO THE
11 PEOPLE OF CALIFORNIA.

12 SO THE LAST PAGE OF YOUR MESSAGING
13 DOCUMENT BREAKS THE SIX GOALS OF THE SAF DOWN INTO
14 WHAT I CALL A BITE, SNACK, MEAL APPROACH TO
15 COMMUNICATION. AND TO BE CLEAR, I DON'T JUST CALL
16 IT THAT. I DIDN'T MAKE THAT UP. I THINK IT'S SUPER
17 SMART, AND I BORROWED IT FROM OTHER PEOPLE. THE
18 BITE IS IF YOU'RE NOT SURE HOW MUCH TIME YOU HAVE OR
19 THE PERSON'S APPETITE TO LEARN ABOUT THE SAF OR
20 ABOUT CIRM, IN THAT CASE FOR EVERY GOAL, WE HAVE A
21 SHORT SNAPSHOT OF WHAT THAT GOAL MEANS.

22 IF THE PERSON SEEMS INTERESTED OR YOU HAVE
23 A LITTLE TIME, YOU CAN GIVE THEM THE SNACK. IN HERE
24 I HAVE THE SNACK FOR GOAL ONE. IT'S A FULL
25 SENTENCE.

1 AND IF PEOPLE ARE REALLY INTERESTED, WELL,
2 YOU KNOW WHAT, OUR WEBSITE HAS, I THINK, NOT JUST A
3 MEAL. I THINK IT HAS AN ENTIRE BUFFET ON THE SAF SO
4 WE CAN GO QUITE DEEP. THIS IS JUST A WAY TO HELP
5 PEOPLE GET INTO CONCEPTS THAT MIGHT BE HARD TO
6 UNDERSTAND, BUT I THINK ARE INCREDIBLY IMPORTANT TO
7 US.

8 OKAY. SO I'M GOING TO MOVE ON TO ANOTHER
9 DOCUMENT THAT IS ATTACHED TO THE BOARD AGENDA AND IS
10 AVAILABLE TO YOU ANYTIME IF YOU DON'T MEMORIZE THE
11 URL FOR THE BOARD AGENDA AND JUST WANT TO REACH OUT
12 TO ME. AND THAT IS AN ELEVATOR PITCH AND FAQ. SO
13 AT THE SAME MEETING WHERE I STOOD HERE AND TALKED TO
14 YOU ABOUT THE WORDS CIRM USES, I SAID I THOUGHT WE
15 NEEDED A CONSISTENT WAY OF TALKING ABOUT CIRM. A
16 DESCRIPTION OF CIRM THAT ALL OF US COULD USE AND
17 THAT WOULD HELP US BE TALKING ABOUT SAME
18 ORGANIZATION. BECAUSE WHEN I TALK TO -- WHEN I ASK
19 PEOPLE HOW THEY TALK ABOUT CIRM, THEY MIGHT HAVE
20 BEEN TALKING ABOUT 20 DIFFERENT ORGANIZATIONS. SO I
21 GOT FEEDBACK. THANK YOU. FEEDBACK IS A GIFT.

22 YOU WILL NOT BE SURPRISED TO KNOW THAT THE
23 FEEDBACK I GOT DEPENDED ON WHO IT CAME FROM. PEOPLE
24 HAD VERY, VERY DIFFERENT IDEAS OF WHAT WAS IMPORTANT
25 ABOUT CIRM. AND FROM THAT, I REALIZED THERE'S NEVER

1 GOING TO BE A DESCRIPTION OF CIRM THAT ALL OF US CAN
2 USE AND ALL OF US WILL FEEL COMFORTABLE WITH AND
3 THAT WILL BE APPROPRIATE FOR ALL OF OUR AUDIENCES.

4 SO THIS IS WRITTEN AS AN ELEVATOR PITCH IN
5 THE SINGULAR, AND IT'S REALLY ELEVATOR PITCH IN THE
6 PLURAL. AND IT'S SORT OF A CHOOSE YOUR OWN
7 ADVENTURE ELEVATOR PITCH. SO I'M GOING TO GO
8 THROUGH THAT A LITTLE BIT SO YOU CAN THINK ABOUT HOW
9 TO USE IT.

10 THIS ANIMATION IS WORKING. HERE'S A
11 PROPOSED SENTENCE THAT YOU COULD USE. YOU'RE
12 TALKING TO SOMEONE YOU DON'T KNOW. SO YOU'RE AT A
13 DINNER PARTY, YOU'VE GOT NO IDEA WHO THIS PERSON IS.
14 SO A GOOD SENTENCE MIGHT BE CIRM IS A CALIFORNIA
15 AGENCY THAT FUNDS RESEARCH INTENDED TO PRODUCE
16 THERAPIES FOR PEOPLE WITH INCURABLE DISEASES. AND
17 I'VE HIGHLIGHTED THE WORDS THAT MIRROR OUR
18 MESSAGING. SO WHATEVER QUESTIONS THE PERSON COMES
19 BACK WITH, THEY'RE PROBABLY GOING TO BE QUESTIONS
20 THAT ARE ON MESSAGE AND WILL ALLOW YOU TO TALK ABOUT
21 THINGS THAT ARE CRITICAL FOR CIRM.

22 OKAY. I'M GOING TO GIVE YOU A LONGER
23 EXAMPLE, AND THIS IS AN ACTUAL SCRIPT THAT I USE. I
24 KNOW YOU'RE NOT SUPPOSED TO READ A LOT OF TEXT ON
25 THE SLIDE OUT LOUD, BUT I'M GOING TO BREAK THAT RULE

1 ONLY BECAUSE I WANT YOU TO HEAR WHAT IT SOUNDS LIKE
2 CONVERSATIONALLY.

3 SO THIS IS ACTUALLY WHAT I WOULD SAY.

4 CIRM IS A CALIFORNIA AGENCY THAT FUNDS INTENDED TO
5 PRODUCE THERAPIES FOR PEOPLE WITH INCURABLE
6 DISEASES. YOU MIGHT REMEMBER THAT IN 2004 THERE WAS
7 A PROPOSITION TO FUND STEM CELL RESEARCH IN THIS
8 STATE. THAT'S HOW WE WERE CREATED. IN 2020 ANOTHER
9 PROPOSITION EXTENDED OUR FUNDING TO INCLUDE GENE
10 THERAPY AND TO INCLUDE A FOCUS ON NEUROLOGICAL
11 DISEASES. WE FUND SCIENCE FROM RESEARCH
12 BREAKTHROUGHS, THROUGH CLINICAL TRIALS, WE TRAIN
13 STUDENTS TO WORK IN REGENERATIVE MEDICINE LABS, AND
14 MAKE SURE CALIFORNIANS ALL OVER THE STATE CAN ACCESS
15 OUR CLINICAL TRIALS.

16 THIS ONE WORKS FOR ME AND HERE'S WHY. I'M
17 OLD. SO A LOT OF THE PEOPLE I TALK TO, THEY
18 REMEMBER PROP 71. AND SO IF YOU CAN JOG SOMEONE'S
19 MEMORY, YOU CAN KIND OF PULL THEM ALONG FROM THERE
20 TO TALK ABOUT WHO WE ARE NOW. OKAY. I WAS
21 INTRODUCED TO A FRIEND'S KID THE OTHER DAY WHO JUST
22 GRADUATED FROM GRAD SCHOOL IN THE FIELD OF SCIENCE,
23 BUT SHE'S NOT AS OLD AS ME AND HAS PROBABLY NEVER
24 VOTED. SO I DIDN'T USE THIS. I USED A VARIANT OF
25 THIS BUT WITHOUT THE PROPOSITIONS BECAUSE IT WASN'T

1 GOING TO RESONATE FOR HER.

2 SO THIS IS ONE EXAMPLE BUILT ON OUR
3 MESSAGING. IT'S NOT A MANDATE, BUT I HOPE IT'S
4 HELPFUL TO PEOPLE. HERE'S MY ANIMATION WORKING
5 WHERE I HIGHLIGHT HERE'S OUR KEY MESSAGES EMBEDDED
6 WITHIN THAT ELEVATOR PITCH.

7 LET'S GO BACK TO THE BITES. HOW DO YOU
8 TAKE THAT FIRST BITE, THE UNKNOWN AUDIENCE AND SAY,
9 OKAY. I'M PRETTY SURE I'M AT A DINNER PARTY WITH A
10 BUNCH OF SCIENTISTS. I DON'T KNOW EXACTLY WHAT
11 KIND, BUT MAYBE I'LL WORK IN CIRM IS A CALIFORNIA
12 AGENCY THAT FUNDS STEM CELL AND GENE THERAPY
13 RESEARCH INTENDED TO PRODUCE THERAPIES. IT MIGHT BE
14 INFORMATION THAT'S USEFUL TO A LOT OF AUDIENCES.

15 OR THIS ONE. YOU CAN SEE IN RED I ADDED
16 FROM DISCOVERY RESEARCH THROUGH CLINICAL TRIALS. IF
17 YOU'RE SOMEWHERE WHERE YOU THINK YOU PROBABLY ARE
18 TALKING TO SOMEONE WHO KNOWS A LITTLE BIT ABOUT
19 BIOMEDICAL RESEARCH, THEY MIGHT BE INTERESTED TO
20 KNOW IT'S DISCOVERY THROUGH CLINICAL TRIALS BECAUSE
21 THAT'S NOT WHAT EVERYONE DOES.

22 AND I WANT TO GIVE ANOTHER EXAMPLE, AND
23 THIS IS MARIA BONNEVILLE DELIVERED, RIGHT OVER
24 THERE, AND I'M GOING TO SAY SOMETHING GOOD, MARIA.
25 SO YOU WANT TO LISTEN. MARIA DELIVERED A MASTER

1 CLASS THE OTHER DAY AND HAD TO USE OUR MESSAGING.
2 IT WAS IN A MEDIA INTERVIEW. AND SHE WAS ON THE
3 INTERVIEW TO TALK ABOUT THE CCCE'S AND MORE
4 GENERALLY ABOUT ACCESS. MARIA IS THE HEAD OF THE
5 WORKING GROUP FOR ACCESS AND AFFORDABILITY. SO THAT
6 WAS HER ROLE ON THIS CALL.

7 SO SHE SAID, CIRM IS A CALIFORNIA AGENCY
8 THAT FUNDS RESEARCH INTENDED TO PRODUCE THERAPIES,
9 AND THEN SHE PULLED A LINE FROM THE MESSAGING AND
10 SAID, AND PUT THOSE THERAPIES WITHIN REACH OF PEOPLE
11 IN CALIFORNIA, WHICH TEES UP ACCESS AND
12 AFFORDABILITY. AND I DIDN'T ACTUALLY JUMP UP AND
13 HUG HER IN THE INTERVIEW BECAUSE I WAS ON ZOOM, BUT
14 AFTER -- IT WAS A PERFECT WAY OF TAKING OUR
15 MESSAGING AND MIXING IT UP FOR AN APPROPRIATE
16 AUDIENCE BUT STAYING RIGHT ON MESSAGE. AND SHE TEED
17 UP THE CONVERSATION PERFECTLY. SHYAM PATEL, IF HE'S
18 STILL IN THE ROOM, WAS ON THAT CALL TOO. I THINK
19 THEY DID A TERRIFIC JOB TOGETHER.

20 I DIDN'T REALLY TALK -- I'M NOT GOING TO
21 TALK ABOUT THIS IN THE PRESENTATION, BUT THAT
22 ELEVATOR PITCH DOCUMENT ALSO HAS SOME FAQ'S. THESE
23 ARE VERY LIGHT, VERY HIGH LEVEL. THEY'RE THE KINDS
24 OF FAQ'S THAT ANYONE MIGHT GET IN A FAIRLY CASUAL
25 SETTING. THEY ARE NOT INTENDED TO GET YOU THROUGH

1 AN ENTIRE CONVERSATION. I CAN'T HELP YOU WITH A
2 WHOLE DINNER PARTY, BUT I CAN PROBABLY GET YOU
3 THROUGH THE FIRST COUPLE QUESTIONS THAT COME UP IN A
4 CONVERSATION IN A WAY THAT SORT OF KEEPS YOU ON
5 MESSAGE AND KEEPS THE LEVEL RIGHT. SO PLEASE READ
6 THOSE FAQ'S. THEY'RE THE FIRST DRAFT. I DON'T
7 THINK THEY PROBABLY ARE COMPLETE. THERE'S SOME
8 QUESTIONS THAT YOU ARE GOING GET THAT I DON'T KNOW
9 ABOUT. SO PLEASE REACH OUT TO ME. PLEASE GIVE ME
10 FEEDBACK. IF YOU USE MY FAQ'S AND IT GOES HORRIBLY
11 AWRY, I WANT TO KNOW ABOUT THAT.

12 NOW I'M GOING TO CATCH UP WITH MY SCRIPT
13 TO MAKE SURE I SAID EVERYTHING I WANTED TO SAY.
14 OKAY. SO ANOTHER PART OF THE TOOLKIT, AND THIS IS
15 SOMETHING THAT IS NOT COMPLETE. SO I CAN'T GIVE
16 THIS TO YOU NOW, BUT IT WILL BE COMPLETE. SO I WANT
17 TO TALK TO YOU ABOUT IT A LITTLE BIT. SO WE'RE
18 CALLING THIS A PATIENT AND STUDENT STORY COMPENDIUM.
19 SO WE HAVE LOTS AND LOTS AND LOTS AND LOTS OF
20 STORIES ABOUT VARIOUS PATIENTS. AND WE HAVE LOTS OF
21 STORIES ABOUT OUR INCREDIBLE STUDENTS. THEY'RE A
22 LITTLE BURIED AND THEY'RE HARD FOR THE PEOPLE OF
23 CIRM TO TALK ABOUT IF WE DON'T SURFACE THEM FOR YOU.

24 SO WE'RE GOING TO HAVE A SERIES OF I
25 BELIEVE THEY'LL BE SLIDES. EACH SLIDE WILL HAVE A

1 PICTURE OF THAT PATIENT OR THAT PERSON AND SOME
2 INFORMATION: THE PERSON'S NAME, THE DISEASE,
3 DETAILS ABOUT THE TRIAL, LINKS TO AWARD INFORMATION,
4 LINKS TO A BLOG ENTRY WE'VE WRITTEN, PREVALENCE OF
5 THAT DISEASE, DIFFERENT ETHNIC GROUPS THAT MIGHT BE
6 MORE IMPACTED BY THAT DISEASE. WE'LL PUT WHATEVER
7 INFORMATION IN THERE WE HAVE. YOU CAN PULL THAT OUT
8 AND THAT ALLOWS YOU TO USE THESE STORIES AND THESE
9 SLIDES IN WHATEVER CONTEXT YOU WANT. MAYBE YOU
10 DON'T REALLY WANT TO TALK ABOUT THAT PARTICULAR
11 PATIENT. YOU JUST WANT AN IMAGE THAT REPRESENTS A
12 FIELD OF RESEARCH YOU ARE GOING TO BE TALKING ABOUT.
13 THAT'S FINE. WE'VE GOT A SLIDE FOR YOU. SO THIS IS
14 COMING.

15 AND THEN FINALLY, I MENTIONED NUMBERS.
16 BEHIND EVERY NUMBER THERE'S A NAME. WE USE A LOT OF
17 NUMBERS. I THINK I'M ON SIX MONTHS HERE AT CIRM. I
18 WILL TELL YOU WE USE THE NUMBERS, VERY DIFFERENT
19 NUMBERS. THEY'RE DIFFERENT IN DIFFERENT PLACES. SO
20 ONE OF THE THINGS I'VE BEEN DOING, AND I WANT TO SAY
21 I'M WORKING VERY CLOSELY WITH PEOPLE ON ROSA'S TEAM
22 WHO ARE EXCEPTIONALLY PICKY, AND WE'RE TRYING TO
23 PULL TOGETHER NUMBERS IN A WAY THAT WE'RE ACTUALLY
24 USING THE SAME ONES. WE'LL HAVE DATES ON THEM. SO
25 IF YOU WANT TO GIVE A PRESENTATION AND YOU WANT SOME

1 NUMBERS ABOUT CIRM, YOU'VE GOT IT AT YOUR DISPOSAL.

2 YOU DON'T HAVE TO EMAIL US.

3 WE'LL BE STARTING WITH NUMBERS THAT WE
4 HAVE AT OUR DISPOSAL AND THEN HOPING TO EXPAND WHAT
5 WE CAN PROVIDE. SO LOOK FOR THIS. I TELL YOU IF WE
6 GET THIS ONE DONE, THAT'S BLOOD, SWEAT, AND TEARS.

7 OKAY. THE ROLLOUT. THIS IS A HAPPY PHOTO
8 OF SOME OF OUR BOARD MEMBERS AND THEN JACQUELINE
9 HANTGEN WHO DOES OUTREACH FOR US IN SOUTHERN
10 CALIFORNIA AT AN EVENT. THIS IS TO MAKE THE POINT
11 ALL OF THIS IS AVAILABLE TO YOU TO SPEAK ABOUT CIRM,
12 WHICH TEES ME UP TO SAY I'VE BEEN WARNING YOU OF
13 THIS, AND WE WANT BOARD MEMBERS TO GET OUT AND TALK
14 ABOUT CIRM, AND WE'RE GOING TO MAKE IT EASY FOR YOU.

15 ADITI DESAI ON MY TEAM IS TASKED WITH
16 HELPING BOARD MEMBERS FIND OPPORTUNITIES TO SPEAK.
17 SHE WILL NOW BE REACHING OUT TO YOU. OVER TIME
18 WE'LL FIGURE OUT WHAT KINDS OF OPPORTUNITIES PEOPLE
19 LIKE, WHAT YOU DON'T, WHAT ARE APPROPRIATE
20 OPPORTUNITIES FOR EACH OF YOU, BUT I THINK WE CAN DO
21 A BETTER JOB OF GETTING ALL OF US OUT TALKING MORE,
22 AND WE WILL TRY TO MAKE IT EASY FOR YOU.

23 AND A PRESENTATION FROM COMMUNICATIONS
24 WOULD BE INCOMPLETE IF I DIDN'T TALK A LITTLE BIT
25 ABOUT WHAT WE'VE ACTUALLY BEEN DOING BECAUSE ALL OF

1 THIS HAS BEEN ABOUT WHAT WE'RE TRYING TO DO IN THE
2 FUTURE, AND WE'RE KIND OF TIRED OF LOOKING TOWARDS
3 THE FUTURE. WHAT ARE WE REALLY DOING?

4 HERE'S SOME OF THE STUFF WE'RE DOING. I'M
5 GOING TO TALK ABOUT -- I'M MOSTLY GOING TO TALK
6 ABOUT OUTREACH TODAY. WE DO OTHER THINGS, BUT I'M
7 JUST REALLY EXCITED ABOUT SOME OF THIS. SO OUR
8 COMMUNITY OUTREACH TEAM WILL BE AT A STUDENT-FOCUSED
9 EVENT THIS WEEKEND, AND THEY WILL BE ACCOMPANIED BY
10 THREE OF OUR EDUCATION PROGRAM STUDENTS.

11 MARIA BONNEVILLE AND ADITI SPOKE AT A
12 ROTARY CLUB MEETING. I THINK THAT WAS LAST MONTH.
13 JACQUELINE WHO ASSISTS IN SOUTHERN CALIFORNIA
14 REPRESENTED CIRM AT AN ALS SUMMIT RECENTLY WHICH
15 ATTRACTED ABOUT 200 PEOPLE. SHE REPORTS THAT THE
16 PROGRAM FEATURED A DEDICATED PAGE RECOGNIZING THE
17 IMPORTANCE OF CIRM TO THE ALS NETWORK.

18 IN ADDITION, ADITI RECENTLY SPOKE TO A
19 SACRAMENTO AREA ROTARY CLUB WHERE SHE GAVE THE
20 PRESENTATION THAT J.T. PREVIEWED AT THE LAST
21 MEETING. SO WE'RE STARTING TO ROLL THAT OUT, AND
22 SHE SAID IT WAS VERY WELL RECEIVED. PARTICIPANTS
23 HAD QUESTIONS ABOUT ACCESS, SPECIFIC DISEASE AREAS,
24 AND LONG-TERM IMPLICATIONS OF CELL AND GENE
25 THERAPIES. SO THEY WERE VERY ENGAGED. WE'VE DONE

BETH C. DRAIN, CA CSR NO. 7152

1 MORE, BUT I'M GOING TO LEAVE IT THERE ON THIS VERY
2 LONG DAY.

3 MEASURE THE IMPACT. I'M NOT PREPARED TO
4 GIVE YOU A FULL METRICS REPORT TODAY, BUT I AM GOING
5 TO BRING IN ONE OF MY TEAM MEMBERS AT AN UPCOMING
6 MEETING AND TALK IN MUCH MORE DETAIL ABOUT METRICS
7 BECAUSE I THINK IT'S INCREDIBLY IMPORTANT.

8 THE ONE THING I'LL TOUCH ON LIGHTLY HERE
9 IS AT PREVIOUS MEETINGS I MENTIONED WE'VE STARTED
10 DOING BLOG ENTRIES ON OUR BOARD MEMBERS. AND WE'RE
11 DOING THAT AS A WAY OF HUMANIZING WHAT CAN LOOK LIKE
12 KIND OF A BUREAUCRACY. THESE HAVE BEEN DOING
13 INCREDIBLY WELL. PEOPLE SEEM TO REALLY
14 RESONATE WITH THESE. AND THE OTHER IS A BLOG ENTRY
15 WE DID AWHILE AGO ON OUR EDUCATION PROGRAMS OVER THE
16 PAST 20 YEARS, AND IT CONTINUES TO DO WELL. I THINK
17 THOSE PROGRAMS REALLY RESONATE WITH PEOPLE.

18 WHILE I HAVE THE FLOOR, THANK YOU. AND
19 RIGHT BEFORE I TAKE QUESTIONS, I WANT TO SAY EARLIER
20 TODAY SOMEONE CAME UP AND ASKED ME ABOUT SOCIAL
21 MEDIA STRATEGY AND HOW PEOPLE -- IF YOU ARE
22 COMFORTABLE ON SOCIAL MEDIA COULD AMPLIFY CIRM. I
23 WILL JUST SAY THAT IN THE NEXT WEEK OR TWO, THOSE
24 CLOSER TO CURES PRESENTATIONS WILL BE ON OUR
25 LINKED-IN. SO IF ANY OF YOU ARE LINKED-IN USERS AND

1 WANT TO LOOK FOR THEM, I'M NOT INSISTING THAT PEOPLE
2 PROMOTE THEM; BUT IF YOU LIKED THE TALKS, WE WOULD
3 NOT MIND IT IF YOU SAID SOMETHING.

4 WITH THAT, I'M GOING TO COME BACK TO
5 SOMETHING THAT I PROMISED I WAS GOING TO TEE UP.
6 AND THAT WAS AT THE LAST COMMUNICATION SUBCOMMITTEE
7 MEETING, WE HAD AN OUTSTANDING CONVERSATION, I
8 THOUGHT, ABOUT SOME OF THE WORDS WE USE TO TALK
9 ABOUT THERAPIES, CURES, OR MAYBE TREATMENTS, SOME
10 COMBINATION OF THOSE. JUST HOW WE'RE TALKING ABOUT
11 WHAT IT IS THAT WE'RE DOING. YOU'LL NOTICE IN A LOT
12 OF MY WORK I'M USING THE WORD "CURES." THAT'S
13 BECAUSE IT'S SHORT AND A LOT OF WHAT I DO IS SHORT.

14 I THINK IN SOME WAYS CURES ALSO DOES -- IS
15 AN IMPORTANT POINT OF REGENERATIVE MEDICINE. IT IS
16 A FIELD OF MEDICINE WHERE WE COULD HOPE FOR CURES
17 AND WE WANT TO LEAN INTO THAT. IN OTHER CONTEXTS I
18 KNOW FROM TALKING TO ALL OF YOU THAT IT'S NOT A
19 COMFORTABLE WORD TO USE. AND I KNOW THAT. MY
20 TAKEAWAY FROM THE CONVERSATION WAS THAT I THINK WE
21 ALL REALLY NEED TO THINK ABOUT OUR WORDS AND USE
22 WORDS THAT ARE RELEVANT IN OUR CONTEXT. I DON'T
23 HAVE A MANDATE, AND I'M NOT GOING TO TELL PEOPLE
24 WHICH WORD THEY HAVE TO USE. I KIND OF TRUST ALL OF
25 YOU TO BE GROWNUPS AND CHOOSE YOUR WORDS. BUT I

<divBETH C. DRAIN, CA CSR NO. 7152

1 WOULD WELCOME MORE THOUGHTS ON THAT TOPIC NOW OR ANY
2 OTHER TIME. WITH THAT, I'M DONE. THANK YOU.

3 | (APPLAUSE.)

4 CHAIRMAN IMBASCIANI: THANK YOU, AMY. ANY
5 FURTHER COMMENTS? YES. YAEL.

6 MS. WYTE: I'M SURE I SHOULD KNOW THIS,
7 BUT WHERE DOES THE TOOLKIT LIVE SO THAT WE CAN
8 REFERENCE IT?

9 MS. ADAMS: WELL, RIGHT NOW IT LIVES
10 ATTACHED TO THE BOARD AGENDA, BUT IN A SLIGHTLY
11 DRAFT FORM. YOU CAN GRAB IT. THERE'S LITTLE
12 UPDATES ALONG THE WAY THAT PEOPLE HAVE COMMENTED ON.
13 SO I WILL BE AT SOME POINT SENDING YOU GUYS A PACKET
14 THAT HAS THE FINAL. YOU'RE WELCOME TO REACH OUT TO
15 ME ANYTIME ALSO.

16 ONE THING I THINK I FORGOT TO MENTION IS I
17 AM GOING TO BE HOLDING SOME TRAININGS ON THE TOOLKIT
18 IN FEBRUARY. THEY'LL BE FOR STAFF, BUT WE'LL
19 INVITE -- I'M LOOKING AT SCOTT -- WE'LL INVITE THE
20 BOARD IN A WAY THAT IS OKAY ACCORDING TO
21 BAGLEY-KEENE, AND SCOTT IS GOING TO MAKE THAT
22 POSSIBLE. SO WE'LL BE TALKING ABOUT HOW TO USE THE
23 DECK, HOW TO USE SOME OF THE IMAGES THAT WE'VE BEEN
24 DEVELOPING. SO WE'LL DO THAT AS WELL.

25 DR. SOUTHDARD: SO I WAS WONDERING IF WE DO

1 COMMUNICATIONS AND TELL OUR STORY IN LANGUAGES OTHER
2 THAN ENGLISH.

3 MS. ADAMS: OH, WELL. YOU BEAT YSABEL TO
4 THAT QUESTION. SO CONGRATULATIONS. SO I'LL ANSWER
5 TO BOTH OF YOU. YEAH. I THINK THAT'S AN
6 OUTSTANDING QUESTION. RIGHT NOW I WILL SAY WE HAVE
7 NO MATERIALS TO HELP YOU. I THINK IT'S AN IMPORTANT
8 QUESTION THOUGH, AND I THINK IT'S A PLACE WHERE WE
9 NEED TO FOCUS IN THE FUTURE. WE ARE STARTING TO TRY
10 TO GET THINGS LIKE PRESS RELEASES TRANSLATED, AND
11 WE'RE REACHING OUT TO SPANISH SPEAKING MEDIA, BUT
12 IT'S LIMITED AT THIS TIME. BUT I THINK OTHER
13 LANGUAGE -- WE'RE A DIVERSE STATE. THAT'S NOT THE
14 ONLY LANGUAGE IN THE STATE. SO AT THIS POINT I
15 CAN'T HELP YOU, BUT I'M HAPPY TO HAVE A CONVERSATION
16 IF THAT'S USEFUL TO YOU.

17 MARIA MAKES A GOOD POINT. WE HAVE ANNUAL
18 REPORTS IN SPANISH. WE ALSO HAVE A SERIES OF
19 PATIENT-FOCUSED FLIERS. AND SOMEONE ON MY TEAM IS
20 GOING TO SLACK ME IN JUST A SECOND IF I SAY THIS
21 WRONG, BUT I'M PRETTY SURE SEVERAL OF THOSE ARE ALSO
22 TRANSLATED INTO SPANISH. OKAY. MARIA SAYS YES. SO
23 WE HAVE SOME TRANSLATION.

24 DR. DULIEGE: THANK YOU VERY MUCH.
25 EXCELLENT PRESENTATION THAT WILL HELP US TALK MORE

1 ABOUT CIRM IN OUR DAILY LIVES.

2 GENERAL QUESTION, NOT NECESSARILY JUST FOR
3 YOU. WHEN WE'RE ASKED THE QUESTION HOW MUCH FUND IS
4 THERE STILL TO BE ALLOCATED FROM NOW ON, I'M TOLD
5 3.1. I JUST WANTED TO MAKE SURE THAT WE ALL HAVE
6 THE SAME RESPONSE THERE.

7 MS. ADAMS: THAT IS A NUMBER -- I'M
8 LOOKING AT JENN RIGHT NOW. THAT IS A NUMBER WE'RE
9 CURRENTLY UPDATING ONCE A YEAR IN THE ANNUAL REPORT.
10 AND IT WILL BE IN THE PROOF POINTS DOCUMENT WITH A
11 DATE ON IT. JENN, IS THAT RIGHT? ARE WE DOING THAT
12 ONCE A YEAR?

13 MS. LEWIS: YEAH.

14 MS. ADAMS: WE'RE DOING THE REVERSE.
15 WE'RE TALKING ABOUT HOW MUCH HAS BEEN SPENT, NOT HOW
16 MUCH IS LEFT.

17 DR. DULIEGE: HOW MUCH IS STILL TO BE
18 ALLOCATED?

19 MS. LEWIS: SO 3.4 BILLION AS OF THE START
20 OF THIS YEAR IS WHAT WE HAVE FOR THE NEXT SIX YEARS.
21 BUT THAT IS A NUMBER WE WILL REPORT ON EVERY YEAR AS
22 WE COME WITH THE NEW BUDGET. AND THEN WE'LL MAKE
23 SURE THAT'S IN YOUR MATERIALS SO YOU HAVE THAT AS
24 WELL.

25 DR. DULIEGE: GREAT. THANK YOU.

1 CHAIRMAN IMBASCIANI: THANKS, JENN.
2 DR. MIASKOWSKI: AMY, THAT WAS A GREAT
3 PRESENTATION. AND IN REFERENCE TO THE TRANSLATION
4 OF PRODUCTS, BEING ON THE GRANTS WORKING GROUP,
5 VIRTUALLY EVERY GRANT THAT GOES TO A CLINICAL TRIAL,
6 THE INVESTIGATORS SAY WE ARE TRANSLATING OUR
7 INSTRUMENTS, OUR CALL FOR STUDY. I'M IMAGINING SOME
8 OF THAT MATERIAL MIGHT BE USEFUL TO US. CAN WE
9 ACCESS THAT? HAVE YOU THOUGHT ABOUT THAT?

10 MS. ADAMS: OKAY. SO I'M GOING TO DIG
11 BACK IN TIME NOW TO MY LAST STINT AT CIRM. I WOULD
12 SAY RIGHT NOW NOT SO MUCH. IS IT AVAILABLE AND
13 SHOULD IT BE USED IN THE FUTURE? I THINK IT'S WORTH
14 MINING FOR SURE. IN THE PAST WE MADE THE PUBLIC
15 SUMMARIES. WE DID THINGS WITH THEM. IS THAT
16 DIFFERENT?

17 VICE CHAIR BONNEVILLE: YEAH. WHEN THEY
18 TALK ABOUT ACCESS TO THE TRIALS, IT'S POPULATION
19 IMPACT.

20 MS. ADAMS: SORRY. I WAS THINKING ABOUT
21 THE PUBLIC -- I'M SORRY. I WAS RESPONDING ABOUT THE
22 PUBLIC STATEMENT. NO. ACTUALLY I THINK I'M PRETTY
23 SURE THAT RIGHT NOW WE DO NOTHING WITH THAT.

24 DR. MIASKOWSKI: I'M WONDERING IF OUR
25 ALPHA CLINICS ARE PREPARING DOCUMENTS THEY'RE USING

1 TO EDUCATE PATIENTS IN DIFFERENT LANGUAGES. WE
2 MIGHT BE ABLE TO ACCESS THOSE AS WELL AS A STARTING
3 POINT ANYWAY.

4 MS. ADAMS: I THINK THE ALPHA JUST AS A
5 GENERAL STATEMENT. I THINK THE ALPHA CLINICS ARE
6 DOING LOTS OF AMAZING THINGS TO GET THE WORD OUT,
7 AND IT'S SOMETHING THAT WE'RE WANTING TO EXPAND.

8 MS. DURON: MR. CHAIR.

9 CHAIRMAN IMBASCIANI: YES.

10 MS. DURON: YOU HAVE A LEFT BIAS.
11 ACTUALLY WHAT I'M GOING TO BE DOING WITH THE OAKLAND
12 ROTARY AND WHAT I ALWAYS SUGGEST IS, DEPENDING ON
13 WHERE YOU'RE PRESENTING, YOU THINK LOCALLY. SO MY
14 IMMEDIATE QUESTIONS, AMY, AND PUT THE TEAM TO WORK,
15 WAS HOW MANY BRIDGE PROGRAMS DO WE HAVE IN THIS AREA
16 THAT WE CAN POINT AT? HOW MANY COMPANIES IN THIS
17 AREA WHO HAVE GOTTEN CIRM FUNDING AND ARE DOING
18 WHAT? SO THAT WE CAN POINT TO THE ACTIVITIES WITHIN
19 THEIR SPHERE OF KNOWLEDGE AND INFLUENCE AND SAY, OH,
20 WE ARE GETTING SOMETHING OUT OF THIS. THIS IS
21 BUILDING JOBS, BUILDING TEAMS OF SCIENTISTS GOING
22 FORWARD. I THINK THAT'S ALWAYS CRITICAL. WHAT IS
23 IT, POLITICS IS LOCAL. I THINK IT'S REALLY
24 CRITICAL. I KNOW THAT CREATES MORE WORK.

25 MS. ADAMS: MY TEAM IS HAPPY TO DO THE

1 WORK FOR YOU, YSABEL.

2 MS. DURON: IN LOOKING FOR THE DATA, BUT I
3 ALWAYS BELIEVE THAT'S KIND OF A WHAT ABOUT ME
4 RESPONSE. AND SO I LOVE THE -- SO I WOULD SUGGEST
5 THAT ANYTIME THEY ASK YOU TO SPEAK, ASK THEM TO GIVE
6 YOU THAT LOCALIZED IMPACT THAT'S ALREADY HAPPENED
7 WITHIN THEIR COMMUNITIES.

8 CHAIRMAN IMBASCIANI: YSABEL. ANYONE
9 ELSE? IF NOT, THANK YOU, AMY, FOR A GREAT
10 PRESENTATION.

11 MS. ADAMS: THANK YOU, EVERYONE.

12 CHAIRMAN IMBASCIANI: SO WE SKIPPED AROUND
13 A LITTLE BIT TO ACCOMMODATE VARIOUS EXIGENCIES IN
14 THE SCHEDULE. GOING TO GO BACK NOW TO ITEM NO. 9 IS
15 THE REPORT FROM THE VICE CHAIR. MARIA.

16 VICE CHAIR BONNEVILLE: AS MANY OF YOU
17 HAVE HEARD, NIH IS ISSUING A REQUEST FOR INFORMATION
18 ON REDUCING RELIANCE ON HUMAN EMBRYONIC STEM CELLS
19 IN NIH-SUPPORTED RESEARCH. AND WHILE THEY AWAIT
20 THESE RESPONSES, HHS IS PAUSING NEW SUBMISSIONS TO
21 THE NIH HUMAN EMBRYONIC STEM CELL REGISTRY.

22 AND OUR TEAMS HAVE BEEN IN CONTACT WITH
23 SOME OF OUR PARTNERS, ISSCR, ASGCT. AND IN
24 ADDITION, THAT TEAM IS ALREADY WORKING ON A PLAN TO
25 COORDINATE A RESPONSE TO THE RFI WITH OUR CALIFORNIA

1 INSTITUTIONS. AND SO I'LL KEEP YOU POSTED AND
2 UPDATED AS THINGS UNFOLD BECAUSE THAT'S A BIG --
3 THAT'S A NEW BIG DEVELOPMENT.

4 AND IN ADDITION TO THAT, THERE WAS ALSO
5 NEWS OUT OF NIH THAT THEY WERE WILL STOP FUNDING
6 RESEARCH INVOLVING FETAL TISSUE. AND I'VE HAD A LOT
7 OF QUESTIONS ON HOW THIS WILL AFFECT CIRM. AND THE
8 SHORT ANSWER IS IT DOESN'T BECAUSE WE WILL CONTINUE
9 TO FUND THIS WORK. IT WILL, OF COURSE, AFFECT SOME
10 OF OUR PARTNERS. AND SO WE'LL ALSO MONITOR THE
11 SITUATION AND PROVIDE ANY UPDATES AS WE GET THEM.

12 THE SENATE HEALTH COMMITTEE IS HOLDING A
13 HEARING ON FEBRUARY 3D ON MODERNIZING THE NATIONAL
14 INSTITUTES OF HEALTH, FASTER DISCOVERIES, MORE
15 CURES. AND THE MAHA INSTITUTE IS HOSTING AN EVENT
16 ON FRIDAY ON RECLAIMING SCIENCE, THE PEOPLE'S NIH,
17 FEATURING PRESENTATIONS FROM NIH LEADERSHIP. AND
18 BASED ON THE AGENDAS ITEMS, IT LOOKS LIKE IT'S
19 FOCUSED ON NIH REORGANIZATION, CRITICISM OF COVID
20 RESPONSES, GOLD STANDARD SCIENCE, ET CETERA.

21 AND SO AS SOON AS WE HEAR BACK ON HOW
22 THOSE GO, I'LL SEND SOMETHING OUT TO THE BOARD.

23 WE'VE BEEN TRACKING SENATOR WIENER'S BILL,
24 AND THAT WAS RESUBMITTED AND IS NOW SB 895. THAT'S
25 A 23 BILLION BOND MEASURE, AND WEINER HAS ASKED THE

1 LEGISLATURE TO PUT IT ON THE NOVEMBER BALLOT SO IT
2 AVOIDS SIGNIFICANT COLLECTION, WHICH AS WE KNOW CAN
3 BE VERY WE COSTLY. AND IT COVERS A WIDE RANGE OF
4 SCIENTIFIC RESEARCH, INCLUDING BIOMEDICAL, NEW AND
5 EMERGING HEALTH THREATS, DISEASE PREVENTION, STROKE,
6 HEART DISEASE, CHILD AND ADULT LEUKEMIA, INFECTIOUS
7 DISEASE, AND HIV/AIDS, WILDFIRE PREVENTION,
8 PROMOTION OF HEALTHY AND SAFE BEHAVIORS, COMMUNITIES
9 AND ENVIRONMENTS, ADDICTION AND SUBSTANCE ABUSE,
10 BEHAVIORAL, CLIMATE, WEATHER, AND OCEANS, COASTAL
11 AND MARINE ECOSYSTEMS, AGRICULTURE AND WATER,
12 EMERGING TECHNOLOGIES, SAFETY, EFFICACY, AND
13 SECURITY OF DRUGS. SO IT'S BROAD REACH.

14 THE BILL IS CURRENTLY SITTING IN THE
15 SENATE RULES COMMITTEE PENDING REFERRAL TO THE
16 SENATE HEALTH COMMITTEE. THE HEALTH COMMITTEE WILL
17 BEGIN TO HEAR NEWLY INTRODUCED SENATE BILLS IN MID-
18 TO LATE MARCH. IF IT'S APPROVED, HEARD AND APPROVED
19 BY THE HEALTH COMMITTEE, THE BILL WILL BE REFERRED
20 TO THE APPROPRIATIONS HEARING FOR CONSIDERATION. IF
21 APPROVED BY THE APPROPRIATIONS COMMITTEE, THE BILL
22 WILL MOVE TO THE SENATE FLOOR FOR APPROVAL BY THE
23 FULL HOUSE. ONCE APPROVED BY THE SENATE, THE
24 MEASURE WILL GO TO THE ASSEMBLY WHERE IT WILL BE
25 HEARD IN THE ASSEMBLY HEALTH AND APPROPRIATIONS

1 COMMITTEE BEFORE GOING TO THE ASSEMBLY FLOOR FOR A
2 FULL VOTE. IF IT'S AMENDED IN THE ASSEMBLY, IT HAS
3 TO GO BACK TO THE SENATE FOR A CONCURRENCE VOTE
4 BEFORE THAT GOES TO THE GOVERNOR FOR SIGNATURE TO BE
5 PLACED ON THE BALLOT, AND THAT HAS TO HAPPEN BY
6 AROUND JUNE 25TH UNLESS THAT DATE IS EXTENDED, BUT
7 NO LATER THAN JULY 2D WHEN THEY RECESS. SO THAT'S
8 WHERE THAT STANDS. SO THERE'S A LOT OF HURDLES
9 BETWEEN NOW AND THEN.

10 THEN THERE'S ALSO A POTENTIAL BOND MEASURE
11 FOCUSING ON IMMUNOLOGY. THIS PROPOSED BOND MEASURE
12 IS CURRENTLY OUT IN THE FIELD FOR SIGNATURES AND
13 MUST QUALIFY BY THE END OF JUNE IN ORDER TO MAKE IT
14 TO THE NOVEMBER BALLOT. AND IT PROPOSES 8.4 BILLION
15 IN BONDS TO FUND CUTTING EDGE MEDICAL RESEARCH
16 FOCUSED ON IMMUNOLOGY AND IMMUNE THERAPY. ABOUT
17 HALF THE FUNDING WOULD GO TO ONE MAJOR NONPROFIT
18 RESEARCH INSTITUTE AFFILIATED WITH THE UNIVERSITY OF
19 CALIFORNIA. THE OTHER HALF WOULD BE AWARDED AS
20 COMPETITIVE GRANTS TO CALIFORNIA PUBLIC AND
21 NONPROFIT UNIVERSITIES AND RESEARCH INSTITUTIONS.
22 AND AT LEAST 4.2 BILLION MUST BE SPENT SPECIFICALLY
23 ON CANCER, HEART DISEASE, AND ALZHEIMER'S DISEASE
24 RESEARCH.

25 THAT'S MY REPORT. IF YOU HAVE ANY

1 QUESTIONS.

2 CHAIRMAN IMBASCIANI: I SEE A HAND. YES,
3 GEORGE.

4 DR. BLUMENTHAL: THANK YOU, MARIA, FOR
5 THAT COMPREHENSIVE REPORT. A COUPLE OF QUICK
6 QUESTIONS ABOUT GOVERNANCE. BOTH THE WEINER BILL
7 AND THE OTHER BILL THAT YOU MENTIONED, WHAT
8 MECHANISMS ARE BEING CONSIDERED IN ORDER TO DISBURSE
9 FUNDS? IS THERE AN EQUIVALENT OF THE ICOC?

10 VICE CHAIR BONNEVILLE: I'D HAVE TO GO
11 BACK TO THE IMMUNOLOGY. FOR THE SENATOR'S BILL
12 THAT'S GOING THROUGH RIGHT NOW, LET ME JUST BRING
13 THAT UP, IT DOES ESTABLISH THE CALIFORNIA FOUNDATION
14 FOR SCIENCE AND HEALTH RESEARCH FOUNDATION. THAT
15 LIVES UNDER THE GOVERNMENT OPERATIONS AGENCY. AND
16 THEIR ROLE IS TO FACILITATE THE SCIENTIFIC RESEARCH
17 BY AWARDING GRANTS AND MAKING LOANS TO PUBLIC OR
18 PRIVATE RESEARCH COMPANIES, UNIVERSITIES,
19 INSTITUTES, ET CETERA.

20 IT'S NOT COMPLETELY CLEAR HOW THE
21 OVERSIGHT IS HANDLED IN THAT INSTANCE. WHAT I DO
22 KNOW IS THAT THEY DID GO BACK AND ADD LANGUAGE TO
23 THE BILL THAT HAS A LOT OF SIMILARITIES WITH CIRM IN
24 SOME OF THE INSTANCES AROUND CLOSED SESSION AND
25 OTHERWISE. SO I CAN GET BACK TO YOU WITH MORE

1 INFORMATION ON THAT.

2 DR. BLUMENTHAL: THANK YOU.

3 CHAIRMAN IMBASCIANI: ANY OTHER QUESTIONS
4 FOR MARIA? ANY OTHER BOARD MEMBERS ONLINE? NO.

5 OKAY. MARIA, THANK YOU. GREAT.

6 OUR CEO AND PRESIDENT JON THOMAS,
7 PRESIDENT'S REPORT IS NEXT.

8 DR. THOMAS: LAST AND PROBABLY LEAST, BUT
9 MAYBE NOT, WANTED TO GIVE YOU AN UPDATE ON A NUMBER
10 OF THINGS. TWO, VERY BRIEFLY, ON EVENTS THAT HAVE
11 HAPPENED, TWO ON THINGS THAT ARE GOING TO HAPPEN,
12 AND ONE ON A PRESENTATION WHICH I'VE STREAMLINED AND
13 HAVE CULLED OUT SOME INTERESTING FACTS THAT I THINK
14 YOU GUYS WILL LIKE AS YOU HEAD OUT INTO THE NIGHT
15 AFTER TODAY'S VERY BUSY SESSION.

16 SO WITH RESPECT TO THINGS THAT HAVE
17 HAPPENED SO FAR, AS JENN NOTED, ON DECEMBER 30TH THE
18 CFAOC MET, AS IT DOES ANNUALLY, TO HEAR THE STORY OF
19 OUR AUDIT, TO HEAR THE STORY OF OUR BUDGET. THIS
20 WAS A VIRTUAL MEETING. MARIA, MICHELLE LEWIS, AND I
21 DID THE CALL FOR CIRM. IT WENT VERY WELL. IN THE
22 PAST WE'VE HAD DISCUSSIONS ABOUT THE COOL THINGS
23 THAT WE'RE DOING. AND, BOY, DID WE HEAR SOME TODAY.
24 AND THIS PARTICULAR INSTANCE, GIVEN THE LATE DAY IN
25 THE YEAR, WE DID NOT GET INTO THAT, BUT PRESUMABLY

1 IT WILL BE RECONVENING WITH THE CFAOC SOMETIME IN
2 THE COMING MONTHS TO GIVE THEM AN UPDATE ON THAT.

3 VITO ALLUDED TO AN ANNUAL EVENT THAT
4 PRECEDES JP MORGAN WEEK. THE ISSCR SPONSORS
5 SOMETHING CALLED THE NUCLEUS FORUM, WHICH IS A VERY
6 UNIQUE COMBINATION OF ACADEMIA, INDUSTRY, AND
7 FINANCE THAT CONVENES IN PALO ALTO FOR A KEYNOTE
8 FIRESIDE CHAT ON SATURDAY NIGHT AND THEN A FULL DAY
9 OF PANELS. THIS ENTITY HAS BEEN AROUND TEN YEARS.
10 IT'S PUT TOGETHER BY A CIRM GRANTEE, DEEPAK
11 SHRIVASTAVA OF HARVARD MED SCHOOL, DEAN GEORGE DALY,
12 AND HARVARD RESEARCHER LEN ZON FOR THOSE OF YOU WHO
13 MAY KNOW THE TWO OF THEM. AND IT PULLS TOGETHER
14 EVERY YEAR A REALLY INTERESTING GROUP OF PEOPLE.

15 THE FIRESIDE CHAT THIS TIME WAS THE GUY AT
16 META IN CHARGE OF HEALTHCARE AI, WHICH WAS
17 INTERESTING. ALTHOUGH JUST AS CIRM HAS AND LIFE
18 SCIENCE HAS ITS OWN SET OF IMPENETRABLE ACRONYMS, SO
19 DOES AI. SO JUST WHEN YOU THOUGHT YOU KNEW WHAT HE
20 WAS TALKING ABOUT, HE'D SORT OF LAPSE INTO SOME
21 ACRONYM. SO YOU GOT A BIT LOST, BUT NONETHELESS IT
22 WAS A VERY ENTERTAINING TALK.

23 THE PANELS WERE VARIOUSLY IN ACADEMIA,
24 INDUSTRY LEADERS, AND FINANCE. THE PRINCIPAL
25 BENEFIT OF THIS, VITO AND I AND MARIA WERE THERE

1 THIS TIME. IT'S ALWAYS THE NETWORKING THAT YOU ARE
2 ABLE TO DO. THERE ARE ALWAYS NEW PEOPLE THERE THAT
3 CAN FORM A VERY GOOD GROUP OF PEOPLE TO KNOW TO HELP
4 ADVANCE CIRM'S AGENDA ON BEHALF OF THE PEOPLE OF
5 CALIFORNIA. SO THOSE WERE TWO EVENTS THAT HAVE
6 HAPPENED.

7 COMING UP, YOU MAY RECALL IN OCTOBER THE
8 BOARD APPROVED THE CCCE DELIVERY SITES, ONE IN
9 CENTRAL CALIFORNIA, ONE IN THE INLAND EMPIRE, ONE IN
10 SOUTHERN CALIFORNIA. CONSPICUOUSLY MISSING WAS ONE
11 IN NORTHERN CALIFORNIA. AND I JUST WANT TO LET THE
12 BOARD KNOW THAT WE'VE BEEN DOING A LOT OF
13 RECONNAISSANCE AND INTELLIGENCE ON POTENTIAL SITES
14 IN THE NORTHERN PART OF THE STATE THROUGH A VARIETY
15 OF CONVERSATIONS WITH STAKEHOLDERS IN THE KNOW. AND
16 WE ARE GOING TO BE COMING BACK TO YOU IN MARCH WITH
17 A CONCEPT PLAN FOR THE SELECTION OF THAT FINAL SITE
18 TO ROUND OUT THE GEOGRAPHIC DIVERSITY OF THE
19 PROGRAM.

20 SIMILARLY, I WANTED LET YOU KNOW FURTHER
21 TO DOWN THE ROAD ON THE SUBJECT OF ALPHA CLINICS,
22 THE DISCUSSIONS ON VARIOUS FRONTS IN CONNECTION WITH
23 THAT, THE CIRM TEAM HAS BEEN EVALUATING HOW THE
24 PROGRAMS ARE GOING AROUND THE STATE. AND AT THE
25 APPROPRIATE TIME LATER IN THE YEAR, WE'LL BE COMING

1 BACK TO THE BOARD WITH RECOMMENDATIONS FOR NEXT
2 STEPS IN CONNECTION WITH ALPHA CLINICS.

3 SO THAT CONCLUDES MY VERY BRIEF
4 RUN-THROUGH OF THE FOUR ITEMS.

5 NOW, IF I MIGHT, SO OVER THE COURSE OF THE
6 YEAR, I'VE ASKED AT VARIOUS TIMES ABOUT, AND OTHERS
7 HERE AS WELL, ABOUT THE STATE OF THE FIELD, WHERE
8 THE DEVELOPMENT OF CGT AND INDUSTRY, THE
9 INTERNATIONAL COMPETITIVE LANDSCAPE, THE REGULATORY
10 ENVIRONMENT, ALL THAT STUFF. AND AS IT HAPPENS
11 EVERY YEAR, THAT AND MORE IS ALWAYS ENCAPSULATED IN
12 AN EXTREMELY, HIGHLY ATTENDED SPEECH AND PANEL GIVEN
13 BY THE ALLIANCE FOR REGENERATIVE MEDICINE. THE
14 SPEECH GIVEN BY TIM HUNT, THE CEO, AND IT COVERS A
15 WIDE RANGE OF TOPICS.

16 I'VE REDUCED THEIR SLIDES TO A MANAGEABLE
17 AMOUNT AND IN THE INTEREST OF GETTING EVERYBODY OUT
18 OF HERE EVEN SOONER, I'M GOING REDUCE IT EVEN
19 FURTHER TO SCORE POINTS WITH ALL OF YOU.

20 SO, SORT OF THE BOOKEND STORY THAT STARTED
21 TIM'S SPEECH WAS ABOUT PATIENTS THAT DEMONSTRATE THE
22 POTENTIAL FOR CELL AND GENE THERAPY. YOU'VE HEARD A
23 LOT, PARTICULARLY FROM SHYAM TODAY, ABOUT BABY KJ
24 AND WHAT HAPPENED WITH HIM. ALSO THEY TALKED ABOUT
25 A WOMAN, MARCI MCCUE, WHO IS THE FIRST PERSON EVER

1 TO GET CAR-T CLINICAL TRIAL TREATMENT FOR MULTIPLE
2 SCLEROSIS, DEMONSTRATING THE BRANCHING OUT EFFECT OF
3 WHAT CAR-T TREATMENTS CAN REACH TO.

4 I'M GOING TO SKIP OVER THIS BECAUSE WE
5 DON'T NEED TO GET THROUGH THIS. NOW, THIS IS
6 INTERESTING AND FRANKLY DISTURBING. GLOBAL
7 COMPETITION, WE HAD A SIDEBAR OVER HERE ABOUT THIS
8 VERY ISSUE. IF YOU WILL NOTICE HERE, IN THE CGT
9 SPACE, THE U.S., WHICH HAS ALWAYS SORT OF LED THE
10 FIELD, IS STARTING TO LOSE SOME GROUND IN WHAT COULD
11 UNFORTUNATELY BE THE START OF A TREND. YOU SEE THAT
12 CHINA MADE VERY SIGNIFICANT STRIDES OVER THE COURSE
13 OF THE LAST YEAR LARGELY DUE TO THEIR STREAMLINED
14 REGULATORY PROCESS, NOT TO MENTION ALL THE MONEY
15 THEY'RE PLOWING INTO THE REGENERATIVE MEDICINE
16 SPACE.

17 THE MIDDLE EAST IS BECOMING MORE AND MORE
18 OF A FACTOR AS THEY LOOK TO DEVELOP THE BIOTECH
19 INDUSTRY AND INCREASE THE ROLE OF THAT AREA OF THE
20 WORLD IN THAT FIELD. AND THE EU PASSED AN ACT WHICH
21 WAS MEANT TO ACCELERATE CLINICAL TRIALS. IT CREATED
22 AN ADVANCED THERAPY MEDICAL PRODUCT CENTER OF
23 EXCELLENCE, EXTENDED PATENT RIGHTS, AND PROVIDES 10
24 BILLION IN EURO TO JUMP-START A BUNCH OF COMPANIES.

25 SO THINGS ARE HAPPENING IN OTHER PARTS OF

1 THE WORLD. AND IF WE'RE NOT CAREFUL, WE'RE GOING TO
2 SORT OF PROGRESSIVELY FIND OURSELVES WITH MORE
3 COMPETITION AT A MINIMUM AND FALLING BEHIND IN SOME
4 AREAS AT WORST.

5 OKAY. NOW THIS IS INTERESTING. SO BABY
6 KJ HIT EARLY IN 2025, AND WHAT IT DID WAS IT
7 GENERATED, WHEN THE NEW ADMINISTRATION WAS STARTING
8 AND THE NEW HHS HIERARCHY WAS IN PLACE, THEY'RE
9 LOOKING FOR WINS. AND THIS WAS LIKE A REALLY EARLY
10 WIN. AND IT LED TO A MOST UNUSUAL EVENT WHICH WAS
11 IN MARCH THEY CONVENED 27 STAKEHOLDERS IN THE CELL
12 AND GENE THERAPY SPACE AT A SITE IN D.C. TO GIVE
13 BASICALLY TESTIMONY -- AND THIS IS RESEARCHERS,
14 PATIENT ADVOCATES, INDUSTRY, ET CETERA -- GIVE
15 TESTIMONY TO MEMBERS OF THE NEW HHS TEAM ABOUT THE
16 BENEFITS OF CELL AND GENE THERAPY.

17 INCLUDED IN THE 27 WERE CRYSTAL MACKALL,
18 INTERESTINGLY, DON KOHN, AND A NUMBER OF OTHER NAMES
19 THAT YOU ALL WOULD RECOGNIZE. IT WAS CONVENED OVER
20 BY THE NEW HEAD OF THE FDA AND THE NEW HEAD OF CBER.
21 AND THEY WENT THROUGH ALL 27 -- HOW MANY HERE
22 HAPPENED TO WATCH THIS? IT WAS ON YOUTUBE. A
23 NUMBER PEOPLE WATCHED. IT WAS INTERESTING TO SAY
24 THE LEAST. ALL THE THINGS THAT WERE SAID WERE
25 GREAT.

1 ABOUT 45 MINUTES BEFORE THE END OF IT,
2 THEY TOOK A BREAK, AND THE TWO OF THEM WERE JOINED
3 BY RFK, JR., BY DR. OZ, AND BY DR. BHATTACHARYA. SO
4 THE FIVE NOW, EVERYBODY INVOLVED, LEADERS OF
5 HEALTHCARE, WERE LISTENING TO WHAT'S GOING ON IN THE
6 FIELD THAT LED TO A NUMBER OF SIDEBAR, AS I'VE BEEN
7 TOLD, SIDEBAR DISCUSSIONS THAT DEMONSTRATED INTEREST
8 IN CELL AND GENE THERAPY BY THE LEADERSHIP THERE.

9 YOU CAN SEE ON THE SLIDE A NUMBER OF THE
10 THINGS THEY'RE DOING TO MAKE IT EASIER TO GET THINGS
11 THROUGH THE FDA IN OUR FIELD. YOU CAN SEE THOSE ON
12 THE RIGHT. I WON'T GO THROUGH THEM. THE SECRETARY
13 HIMSELF IS A FAN OF CELL AND GENE THERAPY BECAUSE IT
14 DEALS WITH TREATING THE CURES AS OPPOSED TO CHRONIC
15 DISEASES, WHICH IS WHAT HE HAS A LITTLE BIT LESS
16 FOCUS ON. BUT THIS IS ONE OF THE THINGS, REGULATORY
17 FLEXIBILITY IN CMC. YOU CAN SEE MARTY MAKARY'S
18 COMMENT, THE ACTING DIRECTOR OF CBER, ET CETERA. SO
19 THAT WAS INTERESTING.

20 NOW, THIS WOULD HAVE BEEN A GREAT ENTRE TO
21 SHYAM HAD I GONE BEFORE HIM. BUT GOING BEHIND HIM,
22 THIS DEMONSTRATES THE INTEREST THAT HE OUTLINED IN
23 PLATFORM APPROACHES TO TREATING RARE DISEASE. AND
24 IT'S NOT JUST US, BUT IN EUROPE THEY'RE VERY
25 INTERESTED IN THE SAME THING. SO I THINK YOU ARE

1 GOING TO START SEEING THAT AS AN AREA OF MAJOR
2 EMPHASIS. AND I'M VERY PROUD TO SAY THAT I BELIEVE
3 CIRM WITH OUR RAPID PROGRAM IS GOING TO BE ON THE
4 FOREFRONT OF DEVELOPMENTS IN THAT AREA.

5 THIS YOU MIGHT FIND INTERESTING AS WELL.
6 THIS IS A SCORECARD ON HOW THE OFFICE OF THERAPEUTIC
7 PRODUCTS AT THE FDA IS TREATING COMPANIES THAT HAVE
8 PRODUCTS THAT ARE COMING UP TO MARKET. AND YOU CAN
9 SEE SOME OF THEM HAVE PDUFA DATES. SOME OF THEM
10 HAVE COMPLETE RESPONSE LETTERS WHICH, AS YOU KNOW,
11 ARE DOCUMENTS THAT BASICALLY SAID YOU'VE GOT MORE
12 WORK TO DO IN DEFINING WHAT YOU HAVE TO DO TO COME
13 BACK TO THEM, AND VARIOUS BLA-REFERENCED ITEMS HERE.
14 SO THERE A NUMBER OF THINGS COMING UP.

15 ARM, THIS IS A SCORECARD THAT ARM HAS PUT
16 TOGETHER. SO THEY'RE KEEPING TRACK OF WHAT'S
17 HAPPENING IN THE INDUSTRY AND CAN UPDATE EVERYBODY
18 ON HOW THE FDA IS HANDLING THINGS.

19 THIS IS, I THINK, PRETTY INTERESTING. SO
20 BIG PHARMA IS PROGRESSIVELY MORE AND MORE GETTING
21 INTO THE CELL AND GENE THERAPY SPACE. I WILL SAY IT
22 TOOK AWHILE; BUT, AS YOU CAN SEE HERE, 20 OF THE 30
23 LARGEST NOW HAVE EITHER INDIVIDUAL PRODUCTS OR IN
24 MANY CASES MULTIPLE PRODUCTS THAT THEY'RE PURSUING.
25 AND I THINK THAT THAT'S A GREAT SIGN FOR THE FIELD.

1 IT'S A GREAT SIGN FOR, AMONG OTHER THINGS, OUR
2 EFFORTS TO TRY TO HELP MATCH OUR AWARDEES WITH
3 INDUSTRY IN ONE FASHION OR ANOTHER.

4 AND SO YOU SAW THAT BY, AT THIS NUCLEUS
5 FORUM I REFERENCED EARLIER, IF YOU LOOKED AT THE
6 PANEL OF WHO'S THERE ON INDUSTRY, IT'S THE EVP OF
7 PRODUCT DEVELOPMENT AT AMGEN, IT'S PEOPLE FROM
8 LILLY, PEOPLE FROM J & J. A LOT OF THE COMPANIES
9 REPRESENTED HERE ARE IN THAT GROUP.

10 NOW, THIS IS A FINANCIAL SLIDE WHICH SHOWS
11 THAT IN THE PAST FOUR YEARS, '21 THROUGH '24, YOU
12 HAD TWO BLOCKBUSTERS, WHICH ARE DEFINED AS BILLION
13 IN REVENUE, ONE OF WHICH ZOLGENSMA IN SMA WITH
14 NOVARTIS AND YOU HAD YESCARTA, WHICH, OF COURSE, IS
15 KITE'S CAR-T PRODUCT IN LARGE B-CELL LYMPHOMA. AND
16 THEN YOU LOOK AND THEY PROJECT TWO MORE CAME INTO
17 THE MIX LAST YEAR, WHICH IS, AS YOU CAN SEE, ARE
18 CARVYKTI, WHICH IS MULTIPLE MYELOMA PRODUCT BY
19 JOHNSON, AND BREYANZI, WHICH IS ANOTHER CAR-T
20 PRODUCT IN LARGE B-CELL LYMPHOMA BY BMS. AND THEN
21 YOU CAN SEE WHAT THEY EXPECT OVER THE COURSE OF THE
22 NEXT FEW YEARS.

23 SO THE FIELD IS, IN TERMS OF BIG WINNERS,
24 THIS IS NOT MANY, BUT IT'S A START, AND IT'S
25 IMPROVING YEAR OVER YEAR. AND IT IS EVIDENCE OF THE

1 FIELD FURTHER MATURING. YOU CAN SEE THERE AT THE
2 BOTTOM TEN TOTAL BLOCKBUSTERS EXPECTED THE NEXT FIVE
3 YEARS.

4 THIS IS -- IF YOU'RE WONDERING WHO'S
5 INVESTING IN THE SECTOR, SO WE HAD 11.1 BILLION LAST
6 YEAR. AND YOU CAN SEE HOW THAT BREAKS DOWN BETWEEN
7 VENTURE, EQUITY, DEBT, ET CETERA. LOTS OF -- A
8 NUMBER OF VERY BIG TICKET M AND A AND PARTNERSHIPS.
9 AGAIN, YOU CAN JUST READ THE SLIDE THERE. THE
10 INVESTORS MAKING VENTURE FINANCINGS IN INDIVIDUAL
11 COMPANIES, YOU CAN SEE HERE ON THIS LIST A NUMBER OF
12 DIFFERENT PLAYERS IN THE FIELD AND WHAT THEY GOT
13 OVER THE COURSE OF LAST YEAR.

14 THIS IS KIND OF INTERESTING. YOU WOULDN'T
15 HAVE THOUGHT THIS IS NECESSARILY THE CASE THAT OUR
16 SECTOR COMPRISED A HIGHER PERCENTAGE OF BIOTECH
17 VENTURE LAST YEAR THAN THE YEAR BEFORE, WHICH IS A
18 GOOD SIGN FURTHER. THEN YOU'VE GOT PUBLIC OFFERINGS
19 OF WHICH THERE WERE QUITE A FEW OF A HUNDRED MILLION
20 OR MORE, WHICH IS NOTEWORTHY BECAUSE THE PUBLIC
21 OFFERING MARKET IN GENERAL WAS WAY DOWN LAST YEAR.
22 YOU WILL NOTICE THE TOP RIGHT TWO, CAPRICOR AND
23 TENAYA, AND THE BOTTOM LEFT, 4DMT, THOSE ARE THREE
24 OF THE COMPANIES THAT WE HAVE HELPED FUND OVER THE
25 YEARS. SO THIS IS EVIDENCE OF CIRM PLAYERS GETTING

1 INTO THE GAME IN BIG-TICKET FINANCIAL MANNER.

2 THEN YOU HAVE -- THIS IS SORT OF WHAT THEY
3 EXPECT COMING UP HERE. SO IN 2026 THEY EXPECT FOUR
4 MORE COMPANIES MAKING IT TO MARKET IN THE U.S., TWO
5 IN EUROPE, AND A NUMBER OF OTHER INDICATIONS THAT
6 ARE WORKING THEIR WAY UP AND GETTING CLOSE TO THE
7 FINISH LINE, WHICH YOU CAN SEE ON THE RIGHT-HAND
8 SIDE THERE.

9 POTENTIAL CLINICAL MILESTONES THAT ADVANCE
10 THE SECTOR, I'M NOT GOING TO GO THROUGH THESE. BY
11 THE WAY, THIS IS ALL ON THE SLIDE ON THE AGENDA.
12 WELCOME YOU TO TAKE A LOOK AT THAT. SO THEY SORT OF
13 END BY SAYING -- I MISSED THAT. THEY HAD A LITANY
14 OF REASONS WHY THEY FELT THAT THIS DEMONSTRATES THAT
15 THE SECTOR IS FURTHER DEVELOPING, WHICH YOU CAN JUST
16 READ HERE. SCIENCE IS GREAT. PATIENT IMPACT
17 UNDENIABLE. COMPETITION INCREASING. COMMERCIAL
18 OPPORTUNITY AND INVESTMENT INCREASING. STRATEGIC
19 BIOPHARMA RELATIONSHIPS INCREASING. DON'T WANT TO
20 SAY THAT THIS DEPICTS SOMETHING THAT'S LIKE ANYWHERE
21 NEAR WHAT THIS IS GOING TO BE IN FIVE TO TEN YEARS.
22 IT'S, AGAIN, JUST GETTING STARTED.

23 THE FINANCIAL ATMOSPHERE CONTINUES TO BE
24 TOUGH IN LIFE SCIENCE AS YOU ALL KNOW, BUT THERE ARE
25 INDICATIONS HERE THAT THINGS ARE CONTINUING APACE.

1 TIM HUNT SORT OF ENDED BY SAYING THAT THIS IS VERY
2 REMINISCENT, THOSE OF YOU WHO TRACK THE DEVELOPMENT
3 OF MONOCLONAL ANTIBODIES AND THAT INDUSTRY WHEN IT
4 FIRST GOT STARTED WITH THE CHALLENGES IT FACED AND
5 THE SORT OF MIDLIFE OFF-AND-ON DEVELOPMENTS AS THE
6 FIELD MATURED, AND NOW WELL INTO 30 YEARS LATER TO
7 \$250 BILLION MARKET. AND THERE'S EVERY INDICATION
8 THAT AT THE END OF THE DAY THIS IS GOING TO END UP
9 SOMETHING SIMILAR. SO THOUGHT THOSE NUMBERS WOULD
10 BE INTERESTING FOR PEOPLE.

11 AND WITH THAT, THANK YOU VERY MUCH. AND
12 ANY QUESTIONS HAPPY TO ANSWER.

13 CHAIRMAN IMBASCIANI: THANK YOU, J.T.
14 GREAT ANALYSIS OF THE LANDSCAPE. ANY COMMENTS OR
15 QUESTIONS FROM BOARD MEMBERS?

16 DR. THOMAS: THANK YOU, MR. CHAIR.

17 CHAIRMAN IMBASCIANI: THANK YOU, MR.
18 PRESIDENT.

19 I HAVE ONE ITEM THAT WE PASSED OVER I NEED
20 TO TAKE CARE OF. IT TAKES YOUR VOTE.

21 MR. TOCHER: WE'RE JUST GOING TO PUNT TO
22 MARCH.

23 CHAIRMAN IMBASCIANI: WE WILL? THE
24 CONSENT AGENDA?

25 MR. TOCHER: IT'S JUST THE MINUTES.

BETH C. DRAIN, CA CSR NO. 7152

1 CHAIRMAN IMBASCIANI: IT'S JUST THE
2 MINUTES. OKAY. FINE. WE'RE HAPPY TO DO THAT.
3 SO IS THERE ANY MEMBER OF THE PUBLIC THAT
4 WOULD LIKE TO MAKE ANY COMMENT ON ANY ITEM ON
5 TODAY'S AGENDA OR ON ANY ITEM THAT WAS NOT ON
6 TODAY'S AGENDA? ARE YOU SEEING ANY HANDS? NO.
7 OKAY. THEN I CAN ADJOURN THE MEETING. WE WILL NEXT
8 CONVENE AT 9 O'CLOCK IN THE MORNING ON THURSDAY,
9 MARCH 26TH AT THE WESTIN HOTEL IN SACRAMENTO.

10 VICE CHAIR BONNEVILLE: AND I JUST WANTED
11 TO THANK BOARD GOVERNANCE FOR A REALLY GREAT
12 MEETING. SO THANK YOU. AND FOR THE CLOSER TO
13 CURES, THANKS FOR INTRODUCING THAT AND MAKING IT A
14 GREAT ADDITION TO OUR BOARD MEETING.

15 CHAIRMAN IMBASCIANI: YES. THANK YOU,
16 EVERYONE, FOR BEING HERE.

17 (APPLAUSE.)

18 (THE MEETING WAS THEN CONCLUDED AT 3:26 P.M.)

19
20
21
22
23
24
25

1
2
3
4
5 REPORTER'S CERTIFICATE
6
7

8 I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN
9 AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT
10 THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE
11 THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE
12 CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN
13 THE MATTER OF ITS REGULAR MEETING HELD ON JANUARY
14 29, 2026, WAS HELD AS HEREIN APPEARS AND THAT THIS
15 IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE
16 STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE
17 REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY
18 ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE
19 AND ACCURATE RECORD OF THE PROCEEDING.
20
21
22
23
24
25

BETH C. DRAIN, CA CSR 7152
133 HENNA COURT
SANDPOINT, IDAHO
(208) 920-3543