

BEFORE THE
SCIENTIFIC AND MEDICAL ACCOUNTABILITY
STANDARDS WORKING GROUP
OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: THE LUXE HOTEL
11461 SUNSET BOULEVARD
LOS ANGELES, CALIFORNIA

DATE: MONDAY, JANUARY 30, 2006
TUESDAY, JANUARY 31, 2006

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO.: 74002 & 74003

I N D E X

ITEM	DESCRIPTION	PAGE NO.
	CALL TO ORDER AND OPENING REMARKS	3
	ROLL CALL	10, 296
	APPROVAL OF MINUTES FROM OCTOBER 24, 2006 DECEMBER 1, 2005	11
	CIRM STAFF PROGRESS REPORT:	
	GEOFF LOMAX	12
	ZACH HALL	20
	JEFF SHEEHY	23
	REVIEW OF DRAFT CIRM REGULATIONS:	
	SECTION 100000	49
	SECTION 100001	56
	SECTION 100002	80
	SECTION 100003	109
	SECTION 100004	123
	SECTIOB 100005	378
	SECTION 100006	424
	SECTION 100007	141, 352
	SECTION 100008	252
	SECTION 100009	349
	SECTION 100010	361
	SECTION 100011	377
	CONSIDERATION OF THE DRAFT CIRM REGULATONS FOR SUBMISSION TO THE ICOC	424
	ADJOURNMENT	287, 431

1 LOS ANGELES, CALIFORNIA; TUESDAY, JANUARY 31, 2006

2

3 CO-CHAIR LO: CAN WE CONVENE. WE ARE NOT
4 QUITE AT A QUORUM, BUT I THOUGHT I'D LIKE TO TRY AND
5 GET STARTED AND DO SOME INFORMATIONAL THINGS FIRST.

6 FIRST, I WANT TO SAY GOOD MORNING AND THANK
7 EVERYBODY FOR WHAT I THINK WAS A VERY PRODUCTIVE DAY
8 YESTERDAY. I THINK WE COVERED A LOT OF ISSUES. I
9 THINK WE HEARD A LOT OF OPINIONS, GOT A LOT OF GOOD
10 FEEDBACK FROM PUBLIC MEMBERS OF THE AUDIENCE. AND I
11 THOUGHT WE REACHED SOME CLOSURE ON SOME IMPORTANT
12 ISSUES.

13 I JUST WANT TO MAKE SURE THAT -- AS I WENT
14 OVER MY NOTES LAST NIGHT, THERE'S A COUPLE OF THINGS I
15 WASN'T QUITE SURE OF, AND I WANT TO MAKE SURE WE HAVE A
16 CLEAR UNDERSTANDING OF WHAT WE DETERMINED YESTERDAY.

17 THE FIRST HAD TO DO WITH COMPLICATIONS OF
18 OOCYTE RETRIEVAL AND OUR DESIRE -- AND I THOUGHT OUR
19 DESIRE WAS TO HAVE INSTITUTIONS ENSURE THAT WOMEN WHO
20 SUFFER IMMEDIATE AND SHORT-TERM COMPLICATIONS OF OOCYTE
21 RETRIEVAL DIDN'T HAVE TO PAY FOR THEIR TREATMENT, AND
22 IT WASN'T JUST AN ACCESS TO CARE ISSUE. THEY CAN
23 ALWAYS SAY GO THE EMERGENCY ROOM AND SEE DOCTOR
24 SO-AND-SO. IT WAS REALLY THAT WE DIDN'T WANT THE WOMAN
25 TO HAVE TO PAY FOR THAT TREATMENT, AND WE SAID THE

1 INSTITUTIONS WERE THE PROPER PEOPLE TO SORT OF PROVIDE
2 THAT FINANCIAL ASSURANCE, PARTICULARLY FOR WOMEN WHO
3 DIDN'T HAVE INSURANCE. I JUST WANT TO MAKE SURE THAT
4 WE'RE FOCUSING ON THE COST OF CARE, NOT JUST ACCESS.

5 SO DID I UNDERSTAND THAT?

6 CO-CHAIR LANSING: BUT IT WAS JUST IMMEDIATE
7 AND SPECIFIC TO AVOID ANY MISREPRESENTATIONS.

8 CO-CHAIR LO: I THINK AS OUR CLINICIANS HAVE
9 SAID, THERE ARE A NUMBER OF COMPLICATIONS THAT ARE WELL
10 DESCRIBED, CLEARLY ARE RELATED TO THE RETRIEVAL
11 PROCESS, INFECTION, BLEEDING, HYPEROVULATION SYNDROME,
12 THE RISKS OF THE ANESTHETIC. SO THERE'S NO QUESTION IF
13 ONE OF THOSE DEVELOP, IT'S GOT TO BE RELATED TO THE
14 OOCYTE RETRIEVAL. THE FURTHER OUT YOU GO AND THE MORE
15 POSSIBILITIES FOR OTHER THINGS CAUSING AN UNTOWARD
16 MEDICAL CONDITION, THE MORE UNCERTAINTY THERE IS IT WAS
17 OOCYTE RETRIEVAL OR SOME OTHER FACTOR.

18 SECOND, WITH REGARD TO WHAT I WOULD CALL
19 COMPLIANCE, WE HEARD SOME CONCERNS THAT WE NEEDED TO
20 MAKE SURE THAT OUR COMPLIANCE WAS STRICT. AND I THINK
21 THIS IS ONE OF THOSE SITUATIONS WHERE THE GRANTS
22 WORKING GROUP IS PUTTING TOGETHER A VERY THOROUGH AND
23 RIGOROUS SET OF REQUIREMENTS AS A CONDITION OF FUNDING
24 THAT WOULD INCLUDE THE RIGHT TO AUDIT, INSPECT,
25 MONITOR, AND SO FORTH. AND I DON'T THINK WE SHOULD TRY

1 AND WRITE THOSE SPECIFICS, BUT WE SHOULD MAYBE JUST
2 INDICATE, SO THERE'S NO MISUNDERSTANDING, THAT WE
3 SUPPORT STRICT MEASURES TO MAKE SURE PEOPLE DO WHAT WE
4 REQUIRE THEM TO DO, AND THAT INCLUDES AUDIT,
5 INSPECTION, MONITORING, AND WE EXPECT THEM TO COMPLY
6 FULLY WITH WHAT THE GRANTS WORKING GROUP REQUIRES.

7 WE KNOW THAT THAT IS TOO VAGUE TO PASS THE
8 OFFICE OF ADMINISTRATIVE LAW. I'M JUST CONCERNED IF WE
9 DON'T PUT SOMETHING, PEOPLE WILL SAY, WELL, YOU'RE NOT
10 REALLY SERIOUS ABOUT COMPLIANCE. IS THAT SOMETHING
11 THAT IS SORT OF IN THE SPIRIT OF WHAT WE SAID? I THINK
12 THERE WAS ONE, I THOUGHT, VERY HELPFUL PUBLIC COMMENT
13 YESTERDAY THAT SAID WE REALLY HAVE TO HAVE TEETH IN
14 THIS, AND I THINK THAT'S TRUE. WE DON'T WANT THE
15 PERCEPTION.

16 CO-CHAIR LANSING: HOW ABOUT SOMETHING THAT
17 SAYS THAT THE GRANTS -- THE OFFICIAL GRANTS GOVERNANCE
18 COMMITTEE, WE BELIEVE IN STRICT COMPLIANCE, AND ALL THE
19 RULES OF THE GRANTS GOVERNANCE COMMITTEE, I KNOW IT'S
20 REDUNDANT AND I KNOW IT'S UNDERSTOOD, BUT SOMETHING
21 LIKE ALL THE RULES OF THE GRANT GOVERNANCE COMMITTEE
22 APPLY OR SOMETHING LIKE THAT. JUST MAKE AN
23 ACKNOWLEDGEMENT THAT THEY HAVE RULES, THAT WE ADHERE TO
24 THOSE RULES AND AGREE WITH THOSE RULES. I KNOW IT'S
25 REDUNDANT.

1 CO-CHAIR LO: AND THEN FINALLY ON PAGE 6, NO.
2 4 AT THE TOP, THIS IS THE SITUATION OF OOCYTE RETRIEVAL
3 FOR RESEARCH PURPOSES. AND I THINK OUR GOAL HERE IS TO
4 SEPARATE INSOFAR AS POSSIBLE TO MAKE SURE THAT
5 DECISIONS MADE FOR THE OOCYTE DONOR REGARDING
6 MANIPULATION OF HORMONAL INTERVENTIONS AND SO FORTH,
7 THOSE DECISIONS ARE MADE WITH THE BEST INTEREST, THE
8 HEALTH, SAFETY, AND WELL-BEING OF THE DONOR FOREMOST,
9 AND THAT OTHER CONSIDERATIONS, THE NUMBER OF OOCYTES
10 RETRIEVED, SORT OF THE HOPES FOR FAME AND SCIENTIFIC
11 PROGRESS ON THE PART OF THE RESEARCH TEAM TAKES SECOND
12 PLACE TO THE WELL-BEING OF THE DONOR.

13 NOW, I'M NOT QUITE SURE WHAT LANGUAGE. I
14 THINK THAT'S THE SENTIMENT. I'M NOT SURE QUITE SURE
15 WHAT THE LANGUAGE IS. ALTA, YOU HAD SOME IDEAS.

16 MS. CHARO: WE WERE TALKING ABOUT FOCUSING ON
17 THE GOALS AND TALKING ABOUT THE DESIRE TO ENSURE THAT
18 THE RECRUITMENT, THE COUNSELING FOR THE INFORMED
19 CONSENT, AND THE ACTUAL PERFORMANCE OF THE PROCEDURE IN
20 NO WAY WOULD BE INFLUENCED BY FINANCIAL OR OTHER GAIN.
21 OTHER GAIN MEANING ACADEMIC YA-YAS, BUT WE HAVEN'T
22 FIGURED OUT EXACTLY HOW TO PHRASE IT, BUT WE THOUGHT
23 THOSE ARE THE KEY MOMENTS THAT WE WANTED TO HAVE THE
24 DONOR'S INTERESTS PLACED FIRST IN THE PROFESSIONAL'S
25 MIND AND NOT THE NEEDS OF THE RESEARCH.

1 CO-CHAIR LO: WHAT ALTA SUGGESTED, WHICH I
2 THINK IS USEFUL, IS THAT WE FOCUS ON THE GOALS OF WHAT
3 WE'RE TRYING TO DO AND THE SORT OF PERFORMANCE STANDARD
4 OF WHAT WE WANT THE RESEARCHERS TO DO AND NOT BE TOO
5 PRESCRIPTIVE AS TO SORT OF HOW THAT'S ENFORCED. NOW,
6 WE NEED TO BE SPECIFIC ENOUGH SO THAT THE PEOPLE WHO
7 HAVE TO LIVE WITH THESE REGULATIONS KNOW WHAT THEY HAVE
8 TO DO. BUT I THINK IF WE'RE CLEAR ON KIND OF THIS IDEA
9 OF SORT OF KEEPING THE INTERESTS AND WELL-BEING WITH
10 DONORS FOREMOST, THAT'S PROBABLY THE MOST IMPORTANT
11 THING. AND ONE MEANS TO THAT IS SEPARATING, HAVING
12 DIFFERENT PEOPLE -- HAVING THE PERSON DOING THE OOCYTE
13 RETRIEVAL NOT BE A PRINCIPAL INVESTIGATOR OF A GRANT
14 AND PROBABLY NOT EVEN BE A CO-AUTHOR, JUST BE SORT OF
15 THE PERSON WHO PROCURES THE MATERIALS THAT THE
16 RESEARCHERS USE. I DON'T KNOW HOW PRESCRIPTIVE WE WANT
17 TO BE ABOUT HOW THAT SEPARATION OR THE POTENTIAL
18 CONFLICTS OF INTEREST COMES ABOUT, HOW WE WANT THAT
19 ENFORCED.

20 ALTA, WHAT ARE YOUR THOUGHTS ON HOW WE SHOULD
21 DRAFT THIS OR HOW WE SHOULD MODIFY NO. 4? NO. 4 IS
22 WRITTEN AS IT SHALL NOT BE THE SAME PERSON EXCEPT
23 THERE'S AN EXCEPTION, AND THAT MAY BE TOO SPECIFIC AND
24 NOT REALLY GET TO THE POINT.

25 MS. CHARO: FOR ONE THING, IT MAY NEED TO

1 COME OUT OF THIS SECTION. THIS SECTION TALKS ABOUT
2 ACCEPTABLE RESEARCH MATERIALS, WHAT MAKES A RESEARCH
3 MATERIAL USABLE BY A CIRM-FUNDED RESEARCHER. AND I
4 THINK WE'RE GETTING AWAY FROM A FOCUS ON THE GAMETES,
5 WHICH MAKES SENSE. WHAT ARE THE LINES THAT ARE
6 ACCEPTABLE, WHETHER THEY'RE LINES THAT COME FROM
7 GAMETES THAT HAVE THESE CHARACTERISTICS, ETC. I THINK
8 WE MAY JUST WANT TO PULL THIS OUT SEPARATELY AND MAYBE
9 PUT IT INTO THE SECTION THAT FOCUSES ON INFORMED
10 CONSENT AND THINK OF THAT SECTION AS RECRUITMENT AND
11 INFORMED CONSENT WITH GUIDELINES FOR HOW WE RECRUIT.

12 AND THEN ONCE RECRUITED, HOW WE INFORM.
13 WHAT'S THE CONTENT OF THE INFORMATION? AND REPHRASE IT
14 IN TERMS OF THE RECRUITMENT PROCESS, AS WELL AS THE
15 CONSENT PROCESS BY SAYING THAT THE PROFESSIONAL MOST
16 DIRECTLY INTERACTING WITH POTENTIAL DONORS SHOULD BE
17 FREE OF ALL FINANCIAL AND OTHER CONFLICTS OF INTEREST
18 THAT MIGHT INFLUENCE THE PROFESSIONAL'S JUDGMENT. I'M
19 NOT SURE. I'M TRYING TO DO THIS ON THE FLY, AND IT'S
20 NOT QUITE WORKING.

21 CO-CHAIR LO: SHALL NOT COMPROMISE THE
22 PROFESSIONAL'S COMMITMENT TO THE WELL-BEING AND
23 INTEREST OF THE DONOR.

24 MS. CHARO: OH, I LIKE THAT. THAT'S NICE.

25 CO-CHAIR LO: SHALL NOT COMPROMISE THE

1 PROFESSIONAL'S COMMITMENT TO THE WELL-BEING OF THE
2 DONOR.

3 DR. TAYLOR: I ACTUALLY THINK THAT MOVING IT
4 FROM THIS SECTION WHERE IT'S KIND OF COMMODIFIED IN
5 SOME WAYS AS GAMETES TO THE INFORMED CONSENT IS REALLY
6 THE SPIRIT OF WHAT WE'RE TRYING TO ACHIEVE. SO I THINK
7 THAT'S A WONDERFUL SUGGESTION.

8 CO-CHAIR LO: ACTUALLY DOESN'T IT GO BEYOND
9 CONSENT TO THE ACTUAL OOCYTE RETRIEVAL PROCESS, THAT WE
10 WANT THOSE DECISIONS ABOUT TIMING AND DOSAGE TO BE MADE
11 WITH THE INTEREST OF THE DONOR?

12 MS. CHARO: SO YOU'RE SUGGESTING IN A SENSE
13 IT MIGHT NEED TO BE PULLED OUT COMPLETELY SEPARATELY AS
14 JUST BASICALLY THE INTERACTION BETWEEN THE PROFESSIONAL
15 AND THE DONOR.

16 CO-CHAIR LO: ACTUALLY I REALIZE THAT WE
17 DIDN'T OFFICIALLY CALL THE ROLL. KATE, SHOULD WE DO
18 THAT?

19 MS. SHREVE: ALTA CHARO.

20 MS. CHARO: HERE.

21 MS. SHREVE: KEVIN EGGAN.

22 DR. EGGAN: HERE.

23 MS. SHREVE: MARCY FEIT.

24 MS. FEIT: HERE.

25 MS. SHREVE: ANN KIESSLING.

1 DR. KIESSLING: HERE.

2 MS. SHREVE: PATRICIA KING. ROBERT KLEIN.

3 JEFFREY KORDOWER. SHERRY LANSING.

4 CO-CHAIR LANSING: HERE.

5 MS. SHREVE: BERNARD LO.

6 CO-CHAIR LO: HERE.

7 MS. SHREVE: KENNETH OLDEN. TED PETERS.

8 FRANCISCO PRIETO.

9 DR. PRIETO: HERE.

10 MS. SHREVE: JANET ROWLEY.

11 DR. ROWLEY: HERE.

12 MS. SHREVE: JEFF SHEEHY.

13 MR. SHEEHY: HERE.

14 MS. SHREVE: JON SHESTACK. ROBERT TAYLOR.

15 DR. TAYLOR: HERE.

16 MS. SHREVE: JOHN WAGNER.

17 DR. WAGNER: HERE.

18 MS. SHREVE: JAMES WILLERSON.

19 DR. WILLERSON: HERE.

20 CO-CHAIR LO: LET US SORT OF THEN TRY AND

21 CONTINUE OUR MERRY MARCH THROUGH THE DRAFT REGULATIONS.

22 WE HAD NOT QUITE FINISHED, AS I REMEMBER, WITH THE

23 INFORMED CONSENT SECTION. AND WE WERE, AS I RECALL, ON

24 PAGE 9 AT THE VERY TOP OF THE PAGE. WE FINISHED WITH

25 THE -- WE HAD A GOOD DISCUSSION OF PAGE 8, AND THEN I

1 THINK OUR NEXT STEP WOULD BE TO GO TO PAGE 9 (H).
2 RESEARCHERS OBTAINING CONSENT FOR GAMETE DONATION FOR
3 DERIVATION OF HSC LINES NEED TO TAKE STEPS TO ENHANCE
4 THE INFORMED CONSENT PROCESS. MEASURES TO DO SO SHALL
5 INCLUDE, BUT ARE NOT LIMITED TO, AN ADEQUATE PERIOD OF
6 TIME TO DELIBERATE ABOUT THE DECISION TO DONATE. AFTER
7 SUCH DELIBERATION, POTENTIAL DONORS SHALL INITIATE
8 RECONTACT WITH THE RESEARCHERS TO CONTINUE THE CONSENT
9 AND DONATION PROCESS.

10 THESE WERE TAKEN FROM ANN KIESSLING'S VERY
11 HELPFUL PRESENTATION AT ONE OF OUR EARLIEST MEETINGS
12 WHERE SHE OUTLINED WHAT HER GROUP DOES IN THE OOCYTE
13 RETRIEVAL PROCESS. AND THIS SORT OF TIME FOR
14 DELIBERATION AND HAVING THE DONOR REINITIATE THE
15 CONTACT, WE THOUGHT, WERE POTENTIAL WAYS TO ENHANCE THE
16 AUTONOMY OF THE DONOR AND TO SORT OF CUT DOWN ANY UNDUE
17 INFLUENCE.

18 ANN, DO YOU WANT TO SAY ANYTHING? THIS IS
19 SOMETHING YOUR GROUP HAS HAD A LOT OF EXPERIENCE WITH.

20 DR. KIESSLING: WELL, WE'VE ACTUALLY FOUND
21 THIS TO BE VERY HELPFUL BECAUSE THE DONORS AT THEIR
22 INITIAL INTAKE, WHERE YOU SPEND ABOUT AN HOUR AND A
23 HALF TALKING ABOUT THE RISKS AND THE SCIENCE, IT'S
24 EMPHASIZED TO THEM THAT THEY HAVE TO KEEP THE PROCESS
25 GOING. THEY'RE PROVIDED A LIST OF STEPS THEY HAVE TO

1 GO THROUGH, AND THEY MUST KEEP THOSE. AFTER THEY
2 COMPLETE STEP 2, THEY MUST THEN REINITIATE STEP 3. NO
3 ONE FROM THE PROGRAM CONTACTS THEM. THEY HAVE TO KEEP
4 IT GOING THEMSELVES.

5 AND THIS WE HAVE FOUND TO BE NOT ONLY REALLY
6 HELPFUL TO MAKE SURE THAT THIS IS SOMETHING THEY'RE
7 REALLY GOING TO DO, BUT THAT IT ALSO PREDICTS WHO CAN
8 HANDLE THE TWO WEEKS RATHER INTENSE CARE THAT THEY NEED
9 DURING THE EGG COLLECTION PROCESS ITSELF. SO NOT ONLY
10 DOES IT -- AND THEY FREQUENTLY CALL UP WITH A QUESTION
11 THAT THEY THOUGHT OF BEFORE THEY INITIATE THE NEXT
12 STEP. SO IT SEEMS TO BE VERY HELPFUL.

13 CO-CHAIR LO: THOUGHTS, COMMENTS? ALTA.

14 MS. CHARO: THE SUBSTANCE OF IT SOUNDS
15 EXCELLENT. I'M HOPING THAT THERE'LL BE A COMFORT LEVEL
16 AROUND THE TABLE WITH SOME SLIGHT REDRAFTING BECAUSE IN
17 TERMS OF HOW YOU WOULD WRITE A REGULATION, THE FIRST
18 PART ABOUT HOW THEY NEED TO TAKE STEPS TO ENHANCE MIGHT
19 BE A LITTLE VAGUE FROM A REGULATORY STANDPOINT. AND
20 THEN AT THE END, THAT POTENTIAL DONORS SHALL INITIATE
21 CONTACT, WE CAN'T ORDER DONORS TO DO ANYTHING IN A
22 REGULATION. WE HAVE TO, RATHER, PHRASE IT MORE LIKE
23 INVESTIGATORS MAY NOT INITIATE FURTHER CONTACT UNTIL
24 DONORS HAVE FIRST GOTTEN IN TOUCH WITH THEM. IT'S MORE
25 A MATTER OF HOW TO RECAST IT.

1 CO-CHAIR LO: SHALL HAS TO GO IN THE RIGHT
2 PLACE FOR THE RIGHT PERSON.

3 MS. CHARO: SO THE QUESTION WOULD BE WHETHER
4 THERE'S ANY DISCOMFORT WITH JUST KIND OF REPHRASING,
5 BUT NOT CHANGING THE SUBSTANTIVE GOAL OF THE SECTION.

6 MR. TOCHER: IF I COULD ALSO ADD ONE MORE
7 POINT. THE SECOND SENTENCE IS A BIT TOO SUBJECTIVE IN
8 THE TERM "ADEQUATE PERIOD OF TIME." THAT WOULD BE
9 SOMETHING THAT WE WOULD WANT TO SPELL OUT MORE
10 SPECIFICALLY. WHETHER THAT'S 14 DAYS OR SEVEN,
11 WHATEVER THE COMMON PRACTICE IS, GO AHEAD AND PUT THAT
12 IN. THAT WAY PEOPLE WILL KNOW WHEN THEY'RE IN
13 COMPLIANCE AND WHEN THEY'RE NOT.

14 CO-CHAIR LO: ANN, WHAT WOULD YOU SUGGEST FOR
15 A MINIMUM PERIOD?

16 DR. KIESSLING: THE PERIOD OF TIME THAT YOU
17 WANT THE MOST REFLECTION IS THE TIME THAT THEY HAVE TO
18 DIGEST THE CONSENT FORM. SO THEY'RE GIVEN THE CONSENT
19 FORM, THEY'RE TOLD TO -- IT'S BEEN GONE THROUGH WITH
20 THEM. IT'S 12 OR 13 PAGES LONG. THEY'RE ASKED TO
21 SHARE IT WITH SOMEONE IN THEIR WORLD, GENERALLY THEIR
22 SPOUSE, BUT FREQUENTLY, AND SOMEONE ELSE. AND THEN
23 THAT'S THE TIME THAT YOU REALLY WANT THEM TO REFLECT
24 AND ASK QUESTIONS.

25 AFTER THAT, ONCE THEY HAVE SIGNED THE CONSENT

1 FORM AND RESUBMITTED IT, THE TIME LAG IS KIND OF BUILT
2 INTO THE PROCESS. IT TAKES AWHILE TO GET THE MMPI
3 SCORED. ONCE THAT'S SCORED, IT TAKES AWHILE TO GET AN
4 APPOINTMENT WITH THE PSYCHOLOGIST. SO EVERYTHING ELSE
5 IS SORT OF BUILT IN. IT'S THAT INITIAL TIME THAT YOU
6 WANT HER TO NOT JUST GO HOME AND SIGN THE CONSENT FORM
7 THAT DAY. TWO WEEKS, THREE WEEKS FOR DELIBERATION OF
8 THE CONSENT FORM ITSELF, THAT WOULD BE, I THINK, A
9 REASONABLE TIME. YOU CAN'T MAKE IT TWO OR THREE
10 MONTHS. SOME OF THESE PEOPLE ARE TRYING TO DO THIS
11 PROCESS DURING SUMMER VACATION BECAUSE THEY'RE TEACHERS
12 OR SOME OTHER COMMITMENT IN THEIR LIVES THAT ARE COMING
13 UP IN THE NEXT SIX MONTHS.

14 SO THAT'S THE TIME THAT YOU REALLY WANT TO
15 BUILD IN A TIME FOR REFLECTION IS DURING THE TIME
16 THEY'RE DIGESTING THE CONSENT FORM.

17 CO-CHAIR LO: TWO WEEKS WOULD BE A MINIMUM.

18 DR. KIESSLING: YEAH. I DON'T THINK YOU WANT
19 TO MAKE IT GREATER THAN A MONTH. THAT'S GOING TO
20 REALLY CRAMP PEOPLE'S SCHEDULES.

21 CO-CHAIR LO: I THINK WHAT YOU'RE SAYING IS
22 AT A MINIMUM AT LEAST --

23 MR. TOCHER: NOT LESS THAN 14 DAYS.

24 MR. SHEEHY: WHERE DOES THE COMPREHENSION
25 ASSESSMENT TAKE PLACE, BEFORE OR AFTER THIS? I THINK

1 WE SHOULD --

2 CO-CHAIR LO: IT SHOULD TAKE PLACE BEFORE
3 THEY SIGN THE CONSENT FORM, DECIDE THAT THEY WANT TO
4 DONATE.

5 MR. SHEEHY: DOES IT TAKE PLACE BEFORE -- IN
6 OTHER WORDS, WHEN ARE YOU GOING TO DO THAT BECAUSE THAT
7 CAN BE CRITICAL? ARE YOU GOING TO DO IT BEFORE YOU
8 HAVE THE DELIBERATIVE PERIOD OR AFTER THE DELIBERATIVE
9 PERIOD?

10 CO-CHAIR LO: WHAT DO YOU ALL THINK?

11 MR. SHEEHY: I'M JUST WONDERING -- BECAUSE
12 THE PRIOR ELEMENT IN THIS REQUIRES SOME ASSESSMENT OF
13 COMPREHENSION, AND WE LIST THEM ALL OUT, ALL THE THINGS
14 THE PERSON HAS TO BE AWARE OF, I'M JUST CURIOUS AS TO
15 WHETHER IT MAKES MORE SENSE, AND I'M PUTTING IT OUT
16 THERE, TO HAVE THAT TAKE PLACE BEFORE YOU TAKE TWO
17 WEEKS TO THINK ABOUT WHETHER YOU WANT TO DO THIS, OR IF
18 THAT ASSESSMENT SHOULD TAKE PLACE WHEN YOU COME BACK
19 AND YOU DECIDE TO SIGN. I KIND OF LEAN TOWARDS
20 PROBABLY BEFORE BECAUSE YOU SHOULD KNOW WHAT YOU'RE
21 DELIBERATING ABOUT, BUT I'M JUST THROWING THAT OUT
22 THERE IN TERMS OF WHATEVER PEOPLE THINK MAKES MORE
23 SENSE.

24 DR. KIESSLING: ONE OF THE PROBLEMS IN
25 REQUIRING AN ASSESSMENT BEFORE THEY REALLY GET INTO THE

1 PROCESS IS THAT THEY CAN FORGET IT. THE PROCESS TAKES
2 FOUR OR FIVE MONTHS. IT'S BEEN OUR EXPERIENCE THAT THE
3 VERY BEST TIME TO MAKE SURE THEY KNOW EXACTLY WHAT
4 THEY'RE DOING IS WHEN THEY'RE ALL THROUGH THE PROCESS.
5 IT'S NOW BEEN THREE OR FOUR MONTHS SINCE ANYBODY
6 EXPLAINED IT TO THEM AT THE BEGINNING, AND NOW YOU KNOW
7 BEFORE THEY'RE ACTUALLY RECRUITED TO DONATE EGGS THAT
8 THEY STILL REMEMBER AND THEY STILL KNOW IT. YOU NEED
9 TO RETOUCH THAT BEFORE THEY ACTUALLY GO THROUGH.

10 THE WAY WE HAVE THIS SET UP, IT TAKES A LONG
11 TIME TO GET THROUGH ALL THE PSYCHOLOGICAL TESTING AND
12 THE PHYSICAL SCREENING. AND IT'S AT THE END OF THAT
13 WHOLE PROCESS THAT I THINK YOU REALLY WANT TO MAKE SURE
14 THEY STILL REMEMBER WHAT THEIR RISKS WERE, THEY STILL
15 REMEMBER WHAT THE SCIENCE WAS, AND THEY STILL WANT TO
16 DO IT.

17 MR. SHEEHY: I JUST MEANT JUST PURELY IN THE
18 CONTEXT OF THIS.

19 DR. KIESSLING: OF UNDERSTANDING AT THE
20 BEGINNING.

21 MR. SHEEHY: IN THE CONTEXT OF THIS
22 DELIBERATION PERIOD. SHOULD IT TAKE PLACE BEFORE OR
23 AFTER -- DO WE NEED TO STIPULATE THAT COMPREHENSION HAS
24 BEEN ASSESSED BEFORE WE SEND THEM HOME TO THINK ABOUT
25 WHAT THEY'RE GOING TO SIGN?

1 CO-CHAIR LANSING: THAT THEY UNDERSTAND
2 BEFORE THEY GO HOME.

3 MR. SHEEHY: YEAH.

4 DR. TAYLOR: IDEALLY THE DELIBERATIVE PERIOD
5 IS A TIME TO REFLECT AND TO FACT FIND. I GUESS I WOULD
6 BE INCLINED TO POSTLOAD THAT RATHER THAN PRELOAD THE
7 TEST.

8 CO-CHAIR LANSING: YOU CAN DO BOTH.

9 MR. SHEEHY: WE CAN BE SILENT ON IT.

10 DR. KIESSLING: ONCE YOU SET -- YOU COULD --
11 A TIME IN THE WAY OUR SCHEME WORKS IS A TIME TO ASSESS
12 WHAT THEY REALLY UNDERSTAND IS WHEN THEY COME BACK TO
13 TAKE THE MMPI. THEY DON'T SEE ANYBODY THEN. THEY JUST
14 SCHEDULE THAT WITH THE OFFICE AND THEY GO INTO A QUIET
15 ROOM, AND IT TAKES ABOUT TWO HOURS TO TAKE THAT TEST.
16 AT THAT TIME -- AND WE'VE ACTUALLY BEGUN TO DRAFT SOME
17 QUESTIONS THAT THE DONORS COULD BE ASKED AT THAT TIME.
18 AND THEN EVERYBODY WOULD HAVE SOME IDEA THAT THEY'VE
19 NOW SIGNED THE CONSENT FORM, THEY'VE NOW OPTED INTO THE
20 PROGRAM, AND NOW DO THEY REALLY UNDERSTAND IT BEFORE
21 THEY SEE THE PSYCHOLOGIST. THAT WOULD WORK.

22 THAT WOULD BE JUST SLIGHTLY AFTER THE
23 TWO-WEEK DELIBERATION. THAT WOULD BE JUST AFTER THE
24 TWO-WEEK DELIBERATION TIME AND JUST BEFORE THEY START
25 THE PSYCHOLOGICAL ASSESSMENT.

1 CO-CHAIR LO: ONE QUESTION IS DO WE WANT TO
2 SAY IN THE REGULATIONS THE TIMING OF THESE DIFFERENT
3 STEPS OR LEAVE IT UP TO THE INVESTIGATOR AND THE IRB TO
4 SORT IT OUT. AND THEY MAY FIGURE OUT SOMETHING THAT
5 WORKS BETTER THAN WHAT WE CAN THINK ABOUT.

6 DR. KIESSLING: IT REALLY DEPENDS ON
7 GEOGRAPHY SOMEWHAT HOW YOU DO THIS.

8 CO-CHAIR LANSING: I THINK YOU'RE BETTER OFF
9 BECAUSE SOME OF IT HAS TO BE INDIVIDUALLY TAILORED. IF
10 YOU START TO SAY IT HAS TO BE DONE IN TEN DAYS AND THEN
11 SOMEONE -- I CAN'T COME BACK IN TEN DAYS. I HAVE TO DO
12 THIS FOR MY JOB OR I HAVE TO TAKE A TRAIN AND I CAN'T
13 DO IT, AND THEN THEY'RE DISQUALIFIED FROM THE PROGRAM.
14 I THINK SO MUCH OF IT IS LAYING OUT WHAT HAS TO HAPPEN,
15 MAKE SURE THEY UNDERSTAND IT BEFORE THE DELIBERATION
16 PROCESS, WHICH HAS TO BE NOT OVERNIGHT, OR SOMETHING
17 THAT SAYS, YOU KNOW, AND THEN THEY HAVE TO MAKE SURE
18 THEY UNDERSTAND IT WHEN THEY COME BACK. IF WE START
19 MAKING RULES THAT ARE CONCRETE, WE'RE GOING NOT TO BE
20 ABLE TO ADAPT TO INDIVIDUAL PEOPLE'S NEEDS, WHICH I
21 THINK WOULD BE A MISTAKE.

22 MR. TOCHER: THE ONLY THING I WOULD ADD,
23 THOUGH, IS THAT IF YOU'RE GOING TO PUT REQUIREMENTS ON
24 THEM, THEY NEED SOME GUIDANCE AT LEAST AS TO WHEN THEY
25 KNOW THAT THEY'RE DOING IT AT THE RIGHT TIME IF WE'RE

1 GOING TO COME BACK AND DING IN SOME RESPECT OR FIND
2 THAT IT'S INSUFFICIENT, WHICH IS WHAT, I THINK, MR.
3 SHEEHY'S REMARK WAS GETTING TO IS JUST DO WE CARE AT
4 WHAT POINT IT HAPPENS. IF WE DON'T CARE, THEN WE CAN
5 SAY AT SOME POINT THE IRB OR THE PHYSICIAN SHALL MAKE A
6 DETERMINATION THAT --

7 CO-CHAIR LO: WITH REGARD TO THE TIMING, IT'S
8 IMPLIED IN (G) THAT THE IRB OR ESCRO HAS TO APPROVE
9 THEIR PLAN. SO WE'RE SAYING THAT AS LONG AS YOU HAVE A
10 PLAN IN PLACE THAT COVERS THESE ELEMENTS, AT LEAST
11 THESE ELEMENTS, AND THE IRB APPROVES IT, WE'VE SORT OF
12 SAID THAT'S OKAY WITH US. IT SEEMS TO ME THAT WOULD
13 INCLUDE --

14 MR. SHEEHY: I DON'T HAVE A POSITION ON THIS
15 ISSUE. IT JUST OCCURRED TO ME, SO I THOUGHT WE SHOULD
16 AT LEAST CONSIDER IT.

17 CO-CHAIR LO: THAT WAS REALLY MEANT FOR SCOTT
18 IN TERMS OF -- I THINK THAT'S PRETTY SPECIFIC FOR THAT
19 PROVISION WHAT THEY NEED TO DO.

20 CO-CHAIR LANSING: IS THAT SPECIFIC ENOUGH
21 THOUGH? I DON'T KNOW.

22 DR. KIESSLING: IF YOU WANT TO PUT IN A
23 MINIMUM JUST BECAUSE YOU WANT TO MAKE SURE SOMEBODY HAS
24 HAD TIME TO REALLY UNDERSTAND IT, I WOULD THINK THAT
25 YOU'D ERR ON THE SIDE OF MAYBE A WEEK RATHER THAN TWO

1 WEEKS. EVERYTHING TAKES LONGER THAN A WEEK ANYWAY.

2 CO-CHAIR LO: I DEFER TO THOSE OF YOU WHO
3 HAVE MORE EXPERIENCE WITH THIS KIND OF PROCESS. DOES A
4 WEEK -- RIGHT NOW WE WANT TO MAKE IT THAT IT SEEMS
5 REASONABLE AND GIVE PEOPLE LEEWAY. CERTAINLY IF THEY
6 WANT TO DO MORE AND SAY WE ACTUALLY THINK TWO WEEKS,
7 THAT'S FINE. THE MINIMUM SHOULD BE SOMETHING --

8 MS. CHARO: THERE'S A PART OF ME THAT
9 CONTINUALLY WANTS TO DROP DETAIL AND LEAVE IT UP TO THE
10 IRB'S AND ALSO LEAVE IT UP TO SUBSEQUENT BEST PRACTICES
11 GUIDELINES THAT CIRM CAN BE DEVELOPING, THAT OTHER
12 IRB'S CAN BE DEVELOPING BECAUSE REGULATIONS, ONCE
13 WRITTEN, THEY DON'T GET CHANGED EASILY. AND WHEN
14 THEY'RE MICROSCOPICALLY DETAILED, MORE OFTEN THAN NOT
15 PEOPLE SUBSEQUENTLY START COMPLAINING THAT THEY'RE
16 BEING FORCED INTO KIND OF A BUREAUCRATIC NIGHTMARE.

17 IS IT POSSIBLE FOR US TO STILL HAVE PUBLIC
18 CREDIBILITY WITHOUT FEELING LIKE WE NEED TO BE A SUPRA
19 IRB IN THE REGS THEMSELVES?

20 CO-CHAIR LO: WELL, WE COULD ALWAYS SAY THAT
21 ADEQUATE TIME FOR DELIBERATION AS DETERMINED, AS
22 APPROVED BY THE IRB OR ESCRO.

23 CO-CHAIR LANSING: YES. AGAIN, A WEEK MIGHT
24 BE TOO SHORT, IT MIGHT BE TOO LONG DEPENDING ON THE
25 PERSON. SOMEONE CAN SAY I'M COMING IN, I UNDERSTAND,

1 I'VE RESEARCHED IT. DEPENDS ON WHO THE PERSON IS.
2 THIS IS SOMETHING I WANT TO DO. I NEED -- 48 HOURS,
3 YOU COME BACK, THE PERSON CAN SAY I COMPLETELY
4 UNDERSTAND IT. I KNOW WHAT I'M DOING. COULD BE A
5 SCIENTIST -- DO YOU KNOW WHAT I'M SAYING? -- WHO
6 UNDERSTANDS EVERYTHING. SEE THE COMPLIMENT, WHO
7 UNDERSTANDS EVERYTHING.

8 WHAT I'M SAYING IS I DON'T KNOW WHAT IT IS.
9 TO ME IT'S SO MUCH ABOUT THE INDIVIDUAL PERSON, AND
10 SOMEONE MAY NEED A MONTH. SOMEONE ELSE MAY NEED A
11 MONTH. I JUST THINK IT'S A MISTAKE. I'D LIKE TO LEAVE
12 IT UP TO THE --

13 DR. PRIETO: I'D CERTAINLY BE COMFORTABLE
14 LEAVING THAT TO THE IRB'S.

15 CO-CHAIR LANSING: MAYBE WE PUT AND TAILORED
16 TO THE INDIVIDUAL PERSON.

17 DR. WAGNER: JUST TO ECHO THAT, I THINK THAT
18 THERE'S DIFFERENT PROCESSES THAT COULD TAKE PLACE. SO
19 THE WAY IT'S DONE IN BOSTON IS AN EXAMPLE THAT'S VERY
20 GOOD, AND IT'S SOMETHING THAT PEOPLE SHOULD BE AWARE
21 OF. ON THE OTHER HAND, JUST IN THE CONTEXT OF BONE
22 MARROW TRANSPLANTATION WHERE 30 PERCENT WILL DIE WITHIN
23 THE FIRST THREE MONTHS OF THE PROCEDURE, YOU CAN LOOK
24 AT THAT AND SAY WE ONLY GIVE THEM FIVE DAYS TO MAKE A
25 CONSENT. IT'S A VERY DIFFERENT RISK, RELATIVELY

1 SPEAKING.

2 ON THE OTHER HAND, WHAT WE DO IS THEY GET
3 INFORMATION WELL IN ADVANCE OF EVEN ARRIVING. SO IT'S
4 JUST THE PROCESS ITSELF MAY BE VERY DIFFERENT. SO WHEN
5 THE TIME CLOCK BEGINS, IT'S NOT GOING TO BE AT THE
6 MOMENT THEY COME TO YOUR CLINIC NECESSARILY. IT COULD
7 BE WELL IN ADVANCE OF THAT WHEN THE PROCESS BEGINS.
8 REALLY ALL WE WANT TO DO IS BE ABLE TO ASSESS THAT THEY
9 UNDERSTAND WHAT THEY'RE DOING, HOWEVER THEY DO THAT.
10 THE IRB'S WILL PROBABLY BE MUCH MORE STRICT THAN WHAT
11 WE'RE EVEN SUGGESTING, BUT WE SHOULD LEAVE IT TO THEM.

12 CO-CHAIR LO: ACTUALLY AT THIS POINT -- ANY
13 OTHER COMMENTS? AT THIS POINT ANY COMMENTS FROM THE
14 PUBLIC ON THIS ISSUE ABOUT TIME TO DELIBERATE AND
15 LEAVING IT TO THE LOCAL IRB?

16 MR. REED: I WOULD JUST HOPE THAT WHILE WE
17 ESTABLISH MINIMUMS TO ALLOW, THAT IT WOULD NOT BECOME
18 SO COMPLICATED THAT PEOPLE MIGHT LOSE INTEREST AND BACK
19 AWAY.

20 CO-CHAIR LANSING: I ACTUALLY THINK THAT'S A
21 GOOD POINT. I HAVE TO SAY THAT. IF IT'S SO
22 OVERREGULATED, SOMEONE IS GOING TO SAY I CAME DOWN HERE
23 FOR THE DAY. NOW I HAVE TO COME BACK EXACTLY TWO WEEKS
24 LATER, AND I CAN'T DO IT ON THAT DAY. I JUST THINK
25 IT'S SUCH AN INDIVIDUAL DECISION, THAT WE HAVE TO HAVE

1 RESPECT FOR EACH PERSON'S COMPREHENSION AND NEEDS.

2 CO-CHAIR LO: LET'S MOVE ON, THEN, TO 100009,
3 FAIRNESS AND DIVERSITY IN RESEARCH. AGAIN, THIS IS A
4 NEW INSERTION FROM THE PREVIOUS DRAFT.

5 DR. WAGNER: CAN I MAKE A CASE WHERE YOU
6 DON'T COME BACK TO IT? MAYBE YOU'RE PLANNING ON COMING
7 BACK. WHEN WE FIRST STARTED THIS SESSION THIS MORNING,
8 WE WERE TALKING ABOUT THIS AREA OF THE CONSENT PROCESS,
9 AND IN EACH OF THOSE IT SAYS THE RESEARCHER. I'M STILL
10 CONCERNED ABOUT THE SEPARATION OF THE PERSON WHO IS
11 ACTUALLY COLLECTING THE OOCYTES VERSUS THE REAL
12 RESEARCHER.

13 AND WE NEED TO MAKE SURE THAT IF WE WANT
14 THOSE TWO TO BE SEPARATE, THEN WE HAVE TO MAKE THE
15 WORDING SUCH THAT WE CAN'T HAVE RESEARCHER IN HERE AT
16 THE SAME TIME, OR DOES IT REALLY HAVE TO BE A SEPARATE
17 INDIVIDUAL? I'M STILL NOT CLEAR WHETHER WE'VE
18 FINALIZED THAT OR WHETHER IT'S STILL GOING TO BE
19 DEBATED, OR WHETHER YOU'RE GOING TO PLAN ON COMING BACK
20 TO THAT LATER THIS MORNING.

21 CO-CHAIR LO: LET'S TRY AND TACKLE THAT RIGHT
22 NOW. IT'S AN IMPORTANT ISSUE, AND WE WANT TO MAKE SURE
23 WE'RE CLEAR ON THAT.

24 SO I GUESS THERE IS A CIRM SORT OF PRINCIPAL
25 INVESTIGATOR AND THERE'S A CIRM SORT OF RESEARCH TEAM

1 THAT'S GOING TO BE ACTUALLY DOING THE SCIENTIFIC WORK.
2 AND THEN TO ME THERE'S A PHYSICIAN WHO'S ACTUALLY
3 SUPERVISING, CARING FOR THE PATIENT -- OOCYTE DONOR
4 DURING THE OOCYTE RETRIEVAL PROCESS. I GUESS THE
5 CONCERN IS THAT ALL THOSE DECISIONS ABOUT TIMING AND
6 DOSAGE AND THINGS SHOULD BE MADE WITH THE INTEREST --
7 THE SAFETY AND WELL-BEING OF THE DONOR FOREMOST.

8 NOW, ONE WAY TO DO IT IS TO SAY YOU SHOULD
9 HAVE DIFFERENT PEOPLE DOING IT. SO YOU SHOULDN'T HAVE
10 THE PI OF THE GRANT OR THE SECOND AUTHOR ON THE GRANT
11 DOING THE OOCYTE RETRIEVAL. IT'S PROBABLY GOING TO BE
12 LIKELY, ALTA POINTED OUT, THAT THE CIRM GRANT WILL PAY
13 FOR THE OOCYTE RETRIEVAL PROCESS IN SOME SENSE THAT
14 DOCTORS AND THE OOCYTE RETRIEVAL TEAM ARE GETTING
15 REIMBURSED FOR IT.

16 NOW, IN A WAY IS THAT ANY DIFFERENT FROM
17 BEING REIMBURSED BY AN INSURANCE COMPANY? BUT YOU
18 WOULDN'T WANT THERE TO BE ANY PRESSURE ON THEM TO ARE
19 WE GOING TO GET AT LEAST EIGHT OOCYTES THIS CYCLE. IT
20 REALLY SHOULD BE WHATEVER. SO HOW CAN WE ENSURE
21 PROTECTION OF THE OOCYTE DONOR, AND IS THERE A WAY OF
22 SAYING THE PRINCIPAL INVESTIGATOR SHOULDN'T BE DOING
23 THAT? I GUESS I'M STRUGGLING WITH SORT OF HOW TO
24 OPERATIONALIZE THE CONCEPT.

25 DR. WAGNER: FROM MY POINT OF VIEW, FIRST

1 OFF, I WASN'T HERE WHEN ANN PREVIOUSLY WALKED THROUGH
2 THE PROCESS. AND SO I MAY BE NOT UNDERSTANDING THE
3 FULL PROCESS SINCE I'M NOT REALLY INVOLVED IN OOCYTE
4 COLLECTIONS IN ANY WAY, SHAPE, OR FORM. BUT IN MY WAY
5 OF THINKING, YOU KNOW, OBVIOUSLY THERE HAS TO BE SOME
6 INCENTIVE FOR THE PHYSICIAN TAKING CARE OF THE WOMAN
7 FOR DOING THIS. MAYBE IT'S PERSONAL. MAYBE IT'S
8 FINANCIAL. MAYBE IT'S SOMETHING, BUT I CAN'T IMAGINE
9 THAT JUST FOR NO REASON AT ALL THEY WILL SPEND THEIR
10 TIME COLLECTING OOCYTES FOR AN INVESTIGATOR THEY KNOW
11 NOTHING ABOUT OR FOR A RESEARCH PROJECT THEY KNOW
12 NOTHING ABOUT.

13 SO I GUESS WE HAVE TO HAVE SOME GUIDELINES AS
14 TO WHAT IS ACCEPTABLE, WHAT IS NOT ACCEPTABLE, WHAT'S
15 THE POTENTIAL CONFLICT BECAUSE IF I WERE THE -- EVEN IF
16 I WERE ON THE SCRO COMMITTEE, I WOULD NEED SOME
17 GUIDELINES TO FIGURE OUT WHEN YOU HAVE CROSSED A
18 BOUNDARY BEYOND WHICH IT'S NO LONGER ACCEPTABLE BECAUSE
19 ALSO WHAT'S AN INVESTIGATOR. BECAUSE, FOR EXAMPLE, IF
20 I WERE DOING WORK ON AN EMBRYO, AN ES CELL LINE, AND I
21 MIGHT INCLUDE SOMEONE FROM THE IVF TEAM WHO HAS SPENT
22 TIME WORKING ON IT, I COULD INCLUDE SOMEONE WHO IS
23 DOING THE GENETIC TESTING OR WHATEVER IT WAS.

24 ARE WE PROHIBITING THAT FROM OCCURRING
25 BECAUSE YOU DID MAKE A COMMENT EARLIER THIS MORNING

1 ABOUT MAYBE THEY SHOULDN'T BE ON THE PAPER OR WHATEVER
2 IT IS. HOW PRESCRIPTIVE DO WE WANT TO BE BECAUSE IT
3 COULD BE VERY LIMITING POTENTIALLY.

4 DR. KIESSLING: CAN I SPEAK TO THAT A LITTLE
5 BIT? OUR PROGRAM HAS TWO -- WE HAVE A COUPLE OF
6 MEDICAL TEAMS. AND THE CHARGE TO THOSE MEDICAL TEAMS
7 IS ZERO TOLERANCE FOR RISK TO THE DONOR, PERIOD,
8 BECAUSE THIS IS A RESEARCH PROJECT. SO UNDER
9 CIRCUMSTANCES WHERE, FOR INSTANCE, IF SHE'S GOT A COLD,
10 THEY MIGHT GO AHEAD AND GIVE HER ANESTHESIA IF SHE
11 NEEDED HER EGGS COLLECTED OR HER TEETH PULLED OR
12 SOMETHING. YOU DON'T DO THAT FOR A RESEARCH PROJECT.
13 THAT'S ACTUALLY NOT BEEN -- THE TEAM SORT OF
14 UNDERSTANDS THAT THEIR CHARGE -- THESE ARE ALL GOOD
15 PHYSICIANS. THEIR CHARGE IS THE CARE OF THIS DONOR.
16 THEY'RE INTERESTED IN THE RESEARCH AND GET APPRISED OF
17 THAT AND WOULD BE ON PUBLICATIONS. I THINK IT'S A
18 MATTER OF THE WHOLE PROJECT COMING TO GRIPS WITH THE
19 FACT THAT ZERO TOLERANCE FOR RISK TO THE DONOR IS
20 WHAT'S IMPORTANT.

21 MS. CHARO: I'M NOT SURE I UNDERSTAND THIS
22 EMPHASIS ON ZERO TOLERANCE. FOR ONE THING, IT SEEMS
23 IMPOSSIBLE TO ACHIEVE BY DEFINITION. WE CAN NEVER GET
24 DOWN TO ZERO RISK.

25 DR. KIESSLING: NO, NOT ZERO RISK. THERE IS

1 SOME RISK. THERE'S OBVIOUSLY SOME RISK. BUT UNDER
2 CIRCUMSTANCES, THE ONE I JUST GAVE IS AN EXAMPLE OF
3 SOMEBODY SHOWS UP FOR AN EGG COLLECTION AND THEY'VE GOT
4 A BAD COLD. THEY'VE GOT A BAD COLD. UNDER THOSE
5 CIRCUMSTANCES, THIS IS A RESEARCH PROJECT, HER CHANCES
6 OF COMPLICATIONS FROM ANESTHESIA ARE ONLY SLIGHTLY
7 HIGHER THAN IF SHE DIDN'T HAVE A COLD, BUT SHE WOULD
8 NOT GO THROUGH IT. AND THAT WOULD BE REALLY
9 CONSERVATIVE MEDICAL JUDGMENT.

10 MS. CHARO: FAIR ENOUGH. YOU WERE SAYING
11 SOMETHING ABOUT ZERO TOLERANCE FOR SOMETHING BEFORE.

12 DR. KIESSLING: HOWEVER YOU WANT TO SAY IT.
13 VERY CONSERVATIVE MEDICAL.

14 DR. PRIETO: ZERO TOLERANCE FOR ANY INCREASED
15 RISK.

16 CO-CHAIR LO: MINIMIZE THE RISK.

17 MS. CHARO: IT'S NOT GOING TO BE MINIMAL BY
18 THE FEDERAL DEFINITION.

19 DR. KIESSLING: THE ONLY THING YOU HAVE TO
20 EMPHASIZE IS THAT THIS IS NOT A PATIENT. THIS IS NOT
21 SOMEBODY WHO'S DONATING A KIDNEY OR DONATING EGGS FOR
22 ANYBODY TO HAVE A BABY. THIS IS PURE RESEARCH, AND SHE
23 SHOULD NOT IN ANY WAY BE PUT IN ANY KIND OF BEYOND WHAT
24 YOU CAN POSSIBLY CONTROL KIND OF RISK.

25 MS. CHARO: JUST FOR THE RECORD MORE THAN

1 ANYTHING ELSE, IT MIGHT BE WORTH NOTING WE HAVE OTHER
2 SITUATIONS LIKE THIS. I'M BEGINNING TO SOUND LIKE YOU,
3 ANN. WE HAVE OTHER SITUATIONS IN THE RESEARCH CONTEXT
4 LIKE THIS. PHASE I TRIALS OFFER NO PROSPECT OF MEDICAL
5 BENEFIT TO THE SUBJECTS. THEY'RE ENTIRELY ABOUT
6 LEARNING SOMETHING AND NO PROSPECT, OUTSIDE OF THE
7 CANCER TRIALS, BUT IN THE MORE COMMON PHASE I TRIALS,
8 PURE METABOLIC STUDIES TRIAL. AND I FIND IT INTRIGUING
9 THAT IN THIS AREA WE ARE GETTING MORE ATTENTIVE AND
10 CONSERVATIVE THAN WE DO IN THE MORE COMMON AND PROBABLY
11 SOMEWHAT RISKIER BECAUSE THE UNCERTAINTIES ARE GREATER,
12 SOMEWHAT RISKIER AREAS OF PHASE I TRIALS. THAT SAID, I
13 UNDERSTAND THE REALITIES.

14 DR. WAGNER: IT'S AN INTERESTING ISSUE.
15 THERE ARE THINGS LIKE INSULIN CLAMPS ON NORMAL
16 SUBJECTS, WHICH HAVE CONSIDERABLY GREATER RISK, AND WE
17 NEVER HAD THIS DEGREE OF CONVERSATION.

18 DR. PRIETO: IN 100007 IT DOES SAY REGARDING
19 WHETHER THIS PERSON -- WHETHER THE OOCYTE COLLECTOR
20 SHOULD BE A SEPARATE PHYSICIAN. IT SAYS THE PHYSICIAN
21 ATTENDING TO ANY DONOR INVOLVED IN OOCYTE RETRIEVAL
22 PROCEDURES AND THE FUNDED RESEARCHER SHALL NOT BE THE
23 SAME PERSON UNLESS AN IRB HAS APPROVED AN EXEMPTION.
24 WE LEFT AN OUT THERE IF THERE IS A UNIQUE SITUATION
25 WHERE THAT CANNOT BE AVOIDED. AGAIN, WE LEAVE IT TO

1 THE IRB.

2 CO-CHAIR LO: WELL, I GUESS ONE CONCERN NOW
3 IN HINDSIGHT IS THE FUNDED RESEARCHER MAY BE AMBIGUOUS.
4 JOHN RAISED THE QUESTION OF WHO'S AN INVESTIGATOR.

5 DR. PRIETO: A PRINCIPAL RESEARCHER.

6 CO-CHAIR LO: ONE OF THE AUDIENCE MEMBERS
7 YESTERDAY SAID, WELL, WHAT ARE THESE EXCEPTIONS YOU'RE
8 THINKING ABOUT JUST TO SORT OF GET A SENSE OF WHAT A
9 CASE MIGHT BE.

10 DR. PRIETO: I THINK A SITUATION IN WHICH
11 THERE'S A VERY SMALL RESEARCH TEAM AND THE PRINCIPAL
12 INVESTIGATOR IS ONE OF THE ONLY PEOPLE IN THAT TEAM
13 WITH THE TECHNICAL EXPERTISE TO DO THE OOCYTE
14 RETRIEVAL.

15 CO-CHAIR LO: ANN'S POINT WAS THAT YOU
16 CONTRACT WITH ANOTHER IVF CLINIC WHO DOES A LOT OF
17 OOCYTE RETRIEVAL.

18 DR. KIESSLING: ONLY PART OF OUR MEDICAL TEAM
19 IS PART OF AN IVF CLINIC. ONLY PART OF OUR MEDICAL
20 TEAM IS PART OF AN IVF CLINIC.

21 CO-CHAIR LO: FRANCISCO RAISED SUPPOSE IT'S A
22 SMALL TEAM AND THERE'S ONLY ONE MEMBER ON THE TEAM WHO
23 KNOWS HOW TO DO OOCYTE RETRIEVAL. THERE ARE OTHER
24 PEOPLE PRESUMABLY IN THE COMMUNITY WHO KNOW HOW TO DO
25 THE OOCYTE RETRIEVAL.

1 DR. PRIETO: ARE THERE ALWAYS GOING TO BE? I
2 DON'T KNOW HOW WIDESPREAD THAT EXPERTISE IS.

3 CO-CHAIR LO: THAT WOULD BE WHERE SORT OF THE
4 PI WERE THE ONE DOING THE OOCYTE RETRIEVAL. THAT
5 STRIKES ME THERE IT'S VERY HARD TO SEPARATE OUT TAKING
6 GOOD CARE OF THE PATIENT FROM, GEE, I REALLY WOULD LIKE
7 TO HAVE OOCYTES.

8 DR. WAGNER: MOST PEOPLE WOULDN'T DISAGREE
9 WITH THAT. I THINK IT'S THAT GRAY AREA, HOW FAR DOWN
10 FROM THE PI DOWN THE PIKE OF YOUR PEOPLE INVOLVED IN
11 THE TRIAL. AND ALSO, AGAIN, IT'S THE PERCEIVED
12 CONFLICT OF INTEREST. I WOULD IMAGINE THAT IF I WAS
13 GOING TO CONTRACT WITH THE IVF CENTER THAT WAS A FEW
14 BUILDINGS AWAY, THAT THE IVF DOCTOR IS NOT LIKELY JUST
15 TO DO IT JUST FOR THE HECK OF IT. CONSIDERING THEIR
16 BUSY CLINIC SCHEDULE, THEY'D BE FITTING THIS IN. AND
17 SO SINCE I DON'T KNOW HOW TO DO IT MYSELF, I WOULD HAVE
18 TO DEVELOP SOME TYPE OF COMPENSATION OR SOMETHING,
19 MAYBE A PART OF THE PAPER OR SOMETHING LIKE THAT. AND,
20 AGAIN, WHAT IS ACCEPTABLE VERSUS WHAT'S NOT ACCEPTABLE.

21 CO-CHAIR LO: ROB, YOU'RE ON THE OTHER END OF
22 THINGS AS AN IVF. I WOULD HAVE IMAGINED THAT YOU
23 COMPENSATE THE IVF DOCTOR FOR TIME AND EFFORT AS YOU
24 WOULD FOR ANYTHING ELSE. I DON'T THINK THEY SHOULD BE
25 DOING THIS GRATIS, BUT I THINK WE BELIEVE THAT DOCTORS

1 CAN BE COMPENSATED FOR THEIR TIME AND EFFORT WITHOUT
2 HAVING THEIR JUDGMENT TO THE PATIENT COMPROMISED.

3 MR. TOCHER: MAYBE I CAN PROPOSE SOMETHING IF
4 I UNDERSTAND. THE PRIMARY CONCERN IS THAT THE
5 ATTENDING PHYSICIAN ON THE OOCYTE RETRIEVAL DOESN'T
6 HAVE A FINANCIAL INTEREST IN THE RESEARCH. THEY CAN BE
7 COMPENSATED OBVIOUSLY FOR THEIR PROFESSIONAL WORK IN
8 THE OOCYTE RETRIEVAL AND THE TREATMENT OF THE PATIENT.
9 AND THAT FUNDING, AS I UNDERSTAND IT, MAY ACTUALLY COME
10 INITIALLY FROM THE POOL OF MONEY THAT CONSTITUTES THE
11 GRANT. AND WE DON'T CARE ABOUT THAT. WE DON'T WANT TO
12 RULE THAT OUT. SO IT'S REALLY JUST YOU WANT TO MAKE
13 SURE THAT THAT PHYSICIAN DOESN'T HAVE A FINANCIAL
14 INTEREST IN THE OUTCOME OF THE RESEARCH; ISN'T THAT
15 CORRECT, IF I'M FOLLOWING THIS, OR IS THERE AN
16 ADDITIONAL CONCERN BEYOND THAT?

17 CO-CHAIR LO: I THINK THERE IS THAT, AND THEN
18 THE QUESTION IS ARE THERE ADDITIONAL CONCERNS IF
19 THEY'RE ACTUALLY AN INTEGRAL PART OF THE RESEARCH TEAM
20 AND THEY HOPE TO GET FAME AND GLORY.

21 DR. PRIETO: IT MAY NOT JUST BE FINANCIAL
22 INTEREST.

23 DR. WAGNER: MAYBE YOU CAN TELL ME THEN. WHO
24 IS THE ONE THAT'S GOING TO ACTUALLY GET THE CONSENT, AT
25 LEAST THAT PART OF THE CONSENT THAT DESCRIBED WHAT THE

1 RESEARCH IS BECAUSE THAT WOULD BE SEPARATE FROM THE
2 DOCTOR WHO IS ACTUALLY CARING FOR THE PATIENT. DOCTOR
3 CARING FOR THE PATIENT IS THE ONE WHO KNOWS EVERYTHING
4 ABOUT IVF. THAT'S OBVIOUSLY THE PRINCIPAL ASPECT OF
5 IT, BUT THERE'S GOT TO BE A REASON WHY WE'RE COLLECTING
6 THE EGGS. AND THAT DOCTOR MAY BE DELINKED OR MAY BE
7 FAR ENOUGH AWAY THAT HE DOESN'T REALLY UNDERSTAND WHAT
8 WE'RE GOING TO DO WITH IT.

9 DR. KIESSLING: I DON'T KNOW HOW MANY IN THIS
10 ROOM REMEMBER THE CIRCUMSTANCES IN NORFOLK. WHEN WAS
11 THAT, ROB, ABOUT FIVE YEARS AGO?

12 DR. TAYLOR: YEAH.

13 DR. KIESSLING: THIS IS A SITUATION THAT WE
14 REALLY WANT TO AVOID, I THINK, BECAUSE ALTHOUGH I THINK
15 THEY WERE VERY WELL-MEANING, IT CAUSED AN ENORMOUS
16 AMOUNT OF CONCERN ABOUT CONFLICT OF INTEREST. IT WAS A
17 RESEARCH TEAM WHERE THE IVF DOCTOR WAS ACTUALLY THE PI,
18 AND THEY VERY MUCH WANTED TO DEVELOP THE EXPERTISE TO
19 DERIVE STEM CELLS. AND THEY SET UP A PROGRAM WHERE
20 EGGS DONORS WHO CAME FOR FERTILITY, IF THEY WERE NOT
21 OKAY, IF THEY WERE NOT CHOSEN BY A COUPLE OR SOMEHOW
22 HAD A HISTORY THAT RULED THEM OUT OF DONATING EGGS FOR
23 FERTILITY, THEY ASKED THEM IF THEY'D LIKE TO DONATE
24 EGGS FOR THIS RESEARCH PROGRAM. SO IT WAS SORT OF A
25 BAIT AND SWITCH, FIRST OF ALL, THAT WAS A PROBLEM.

1 SECONDLY, IT WAS THE IVF DOCTOR HIMSELF WHO WAS THE PI
2 ON THAT WORK.

3 KNOWING THAT TEAM, I'M SURE THERE WAS NOTHING
4 AMISS, BUT IT CERTAINLY SET UP A CIRCUMSTANCE THAT WAS,
5 WHAT WOULD YOU SAY, ROB, NOT DESIRABLE?

6 DR. TAYLOR: THEY HAD GONE THROUGH THEIR IRB,
7 AND THEY HAD APPROVAL FOR DOING THIS, BUT IT WAS QUITE
8 CONTROVERSIAL. AND THEY WERE THE FIRST PROGRAM TO
9 REALLY DO IN VITRO FERTILIZATION FOR THE PURPOSE OF
10 CREATING EMBRYOS THAT WERE ONLY GOING TO BE STUDIED IN
11 THE LABORATORY. AND THAT, I THINK, WAS A BIT SHOCKING
12 TO THE REST OF THE COMMUNITY.

13 DR. KIESSLING: THEY WOULD HAVE BEEN MUCH
14 BETTER OFF IF THE PI HAD BEEN A DIFFERENT PERSON FROM
15 THE PERSON COLLECTING EGGS, DON'T YOU THINK?

16 DR. TAYLOR: I THINK I REALLY APPRECIATE WHAT
17 JOHN IS SAYING. I THINK THAT THE EXCEPTION, FRANKLY,
18 IS GOING TO BE THE COMPLETELY DISSOCIATED IVF
19 TECHNICIAN, ENTIRELY DISSOCIATED FROM THE PROJECT WITH
20 THE SOLE EXCEPTION OF GETTING SOME SORT OF A STIPEND
21 FOR DOING THE RETRIEVAL.

22 I THINK THAT THE MUCH MORE COMMON SITUATION
23 IS GOING TO BE CLINICIANS WHO ARE INTERESTED IN SEEING
24 THE SCIENCE MOVE FORWARD AND HAVE A COLLABORATIVE
25 RELATIONSHIP AT SOME LEVEL, AT LEAST INTELLECTUALLY

1 STIMULATED RELATIONSHIP WITH THE GROUP OF PEOPLE THAT
2 ARE DOING THE SCIENCE.

3 I THINK THAT SCOTT RAISES THE POINT ABOUT I
4 DON'T THINK IT'S GOING TO BE A FINANCIAL IN THE DIRECT
5 SENSE CONFLICT, BUT MORE OF THIS ISSUE ABOUT FAME AND
6 GLORY AND CONTRIBUTION TO A SCIENTIFIC INQUIRY. SO
7 THAT'S, I THINK, THE DICIER PART OF THIS.

8 DR. WILLERSON: I JUST WANT TO SECOND WHAT
9 ROB SAID. WE DON'T WANT TO INTERFERE WITH THAT KIND OF
10 TEAM BUILDING AND RELATIONSHIP. IT WILL BE REAL
11 IMPORTANT TO MOVING THIS AHEAD.

12 DR. TAYLOR: IT MAKES FOR THE BEST SCIENCE.

13 MS. CHARO: IN MANY WAYS THIS STRIKES ME AS A
14 CLASSIC DILEMMA IN RESEARCH BECAUSE IF WE THINK ABOUT
15 IT, IN MOST CLINICAL RESEARCH, THE RECRUITMENT OF
16 SUBJECTS IS GOING TO COME IN THE CONTEXT OF CLINICAL
17 CARE AND A PATIENT POPULATION THAT'S BEING APPROACHED
18 BY SOMEBODY, WHETHER IT'S THE TREATING PHYSICIAN OR
19 SOMEBODY AFFILIATED WITH HIM OR HER, WHO APPROACHES THE
20 PATIENT AND ASKS WOULD YOU PREFER TO BE ENROLLED IN A
21 RESEARCH TRIAL. AND I'M NOT SUGGESTING THAT IRB'S HAVE
22 DONE A PERFECT JOB ON THIS, BUT I AM SUGGESTING THAT IF
23 THERE'S A PLACE WHERE IT SHOULD BE HANDLED, THAT'S MORE
24 LIKELY THE RIGHT PLACE.

25 AND THE CHALLENGE WE HAVE, I THINK, IS TO

1 VERY CLEARLY DECIDE ARE THEY IN SUCH A DIFFICULT
2 POSITION THAT WE NEED IN REGULATORY LANGUAGE TO SET
3 DOWN SOME VERY HARD AND FAST RULES, OR ARE WE, RATHER,
4 IN A SITUATION WHERE IRB'S COLLECTIVELY WOULD BENEFIT
5 FROM MORE GUIDANCE AND BEST PRACTICES EFFORTS WHICH ARE
6 NOT EMBODIED IN REGULATORY LANGUAGE WITH HARD AND FAST
7 RULES, BUT, ONCE AGAIN, I THINK, WOULD FORCE US TO MAKE
8 A REAL STRONG COMMITMENT FOR THIS WORKING GROUP AND FOR
9 CIRM TO DEVELOP A PLAN FOR HOW TO MOVE ON TO THE NEXT
10 STEP, WHICH IS THE DEVELOPMENT OF BEST PRACTICES
11 DOCUMENTS AND MODEL PROTOCOLS, ETC., SO THAT WE'RE
12 COMFORTABLE DEFERRING SOME OF THESE TO THE NEXT STAGE
13 OF DELIBERATION.

14 MY INSTINCT IS THAT THE SECOND WOULD BE A
15 BETTER WAY TO GO ABOUT IT. THAT'S, AGAIN, MY INSTINCT,
16 THAT REGULATORY LANGUAGE SHOULD BE USED SPARINGLY AND
17 THAT LESS IS MORE SO THAT WE CAN REACT TO EVOLVING
18 SITUATIONS. BUT IF THERE'S A FEELING THAT THIS IS ONE
19 WHERE THE IRB'S HAVE BEEN SO INCAPABLE ACROSS ALL
20 RESEARCH AREAS OF MANAGING THIS INTRINSIC CONFLICT, WE
21 COULD PUT IN SOMETHING HARD AND FAST. I JUST HAVEN'T
22 HEARD ANYBODY REALLY KIND OF MAKE THE CASE FOR IT YET.

23 MS. FEIT: WHY DOES A PRINCIPAL INVESTIGATOR
24 NEED TO BE THE ONE TO RETRIEVE THE DONATION? WHY CAN'T
25 THAT BE -- SINCE IT'S A POTENTIAL AREA OF CONFLICT, WHY

1 WOULDNT AN INVESTIGATOR WANT TO SEND IT OUT TO SOMEONE
2 ELSE TO DO, KNOWING THAT HE/SHE HAS THEN ELIMINATED THE
3 CONFLICT? WHAT DRIVES THAT THAT MAKES HIM/HER WANT TO
4 STAY IN CONTROL OF THAT? THAT, TO ME, SEEMS TO BE THE
5 ESSENCE OF WHAT WE'RE TALKING ABOUT.

6 DR. EGGAN: IF THEY THEMSELVES ARE A CLINICAL
7 INVESTIGATOR WHICH HAS SKILL IN THIS PROCEDURE, THEY
8 MAY FEEL THAT IT IS THE SAFEST THING TO DO. I THINK
9 SOME MAY FEEL THAT WAY. THAT'S A SPECIAL PROCEDURE
10 WHICH THEY PERFORM THEMSELVES AND OTHER PEOPLE DO IT.
11 I THINK THAT'S ONE CLEAR EXAMPLE. BUT I THINK THERE'S
12 OTHER EXAMPLES WHERE THERE ARE BASIC SCIENTISTS WHO
13 WANT TO DO SOMATIC CELL NUCLEAR TRANSPLANTATION
14 EXPERIMENTS WHO WON'T HAVE THE EXPERTISE AND WILL NEED
15 TO EITHER COLLABORATE OR TO FEE-FOR-SERVICE OBTAIN
16 MEDICAL ASSISTANCE.

17 I THINK IT'S IMPORTANT, THOUGH, IN THAT TYPE
18 OF SITUATION THAT IT ALSO BE AS CLOSE TO A ZERO-SUM
19 GAME OR AT THE LEVEL OF COMPENSATION THAT IS
20 COMMENSURATE WITH THAT WHICH IS PERFORMED WITH RESPECT
21 TO THAT MEDICAL SERVICE. I THINK WE ALL WANT TO MAKE
22 SURE THAT IN THE PROCESS OF THESE THINGS, THAT NO ONE
23 REALLY MAKES MORE MONEY THAN THEY WOULD NORMALLY MAKE,
24 IF THEY'RE A PRIVATE ENTITY. THAT IS, IT SHOULD BE
25 WHATEVER THE STANDARD FEE OR LESS FOR THAT SERVICE

1 WHICH IS OFFERED, OR AS CONSERVATIVE AS IT COULD BE. I
2 THINK WE WANT TO MAKE SURE THAT THEY'RE AS CLOSE TO
3 ZERO BALANCE OR IN THE RED FOR PARTICIPATING IN THE
4 RESEARCH RATHER THAN BEING STRONGLY IN THE BLACK.

5 DR. TAYLOR: I ACTUALLY THINK THAT THERE ARE
6 SOME PRETTY -- FAIRLY STRINGENT GUIDELINES, RVS CODES
7 FOR VARIOUS ASPECTS OF THE PROCEDURE THAT WOULD BE
8 QUITE EASILY QUANTIFIABLE.

9 MARCY, IN RESPONSE TO YOUR QUESTION, I THINK
10 IT'S GOING TO ACTUALLY BE VERY, VERY UNUSUAL IN THE WAY
11 IT'S WRITTEN, MAYBE WITH AN IRB EXCEPTION, THAT THE PI
12 IS GOING TO BE THE PRIMARY IVF SORT OF PHYSICIAN, BUT I
13 THINK IT'S -- SO THAT, I THINK, IS GOING TO BE
14 EXTREMELY RARE. AND I AGREE WITH YOU, THAT IF YOU CAN
15 CREATE THAT SORT OF FIREWALL BETWEEN CONFLICT, I THINK
16 EVERYBODY IS GOING TO WANT TO DO THAT. BUT I ALSO
17 THINK IT'S GOING TO BE VERY RARE FOR THERE NOT TO BE
18 SOME TYPE OF A CLOSE COLLABORATION BETWEEN THE SIDES OF
19 THIS. AND I THINK THAT WE MIGHT, AS JIM HAS KIND OF
20 POINTED OUT, WE MIGHT ACTUALLY HURT OURSELVES MORE IN
21 TERMS OF THE DEVELOPMENT OF THE SCIENCE IF WE TRY TO
22 CREATE TOO THICK A FIREWALL ON THAT ONE.

23 DR. KIESSLING: ROB, SAY THAT YOU HAVE A
24 BRAINSTORM TONIGHT AND YOU HAVE AN EXPERIMENT THAT YOU
25 WANT TO DO, STEM CELL EXPERIMENT. HOW MUCH OF AN

1 IMPEDIMENT WOULD IT BE FOR YOU TO GET A COLLEAGUE TO DO
2 THE ACTUAL EGG COLLECTION RATHER THAN YOU YOURSELF DO
3 THE ACTUAL EGG COLLECTION? WOULD THAT BE AN IMPEDIMENT
4 TO THE WORK?

5 DR. TAYLOR: NO, I DON'T THINK SO.
6 CERTAINLY, AGAIN, UNLESS YOU WERE RUNNING A SOLO IVF
7 PRACTICE IN FRESNO.

8 DR. KIESSLING: THAT WOULDN'T PLACE A BURDEN
9 ON THE RESEARCH, WOULD IT?

10 DR. TAYLOR: NO, I DON'T THINK IT WOULD BE A
11 BURDEN AT ALL.

12 CO-CHAIR LO: LET ME TRY AND SUMMARIZE A
13 COUPLE OF STRANDS HERE THAT I'VE HEARD AND SEE IF THIS
14 MAKES SENSE. SUGGESTION WOULD BE THAT THE IRB MUST
15 ENSURE THAT IN THE OOCYTE RETRIEVAL PROCESS, THE RISKS
16 TO THE OOCYTE DONOR ARE MINIMIZED -- THAT COMES
17 STRAIGHT OUT OF THE COMMON RULE -- AND THAT THE
18 WELL-BEING OF THE OOCYTE DONOR IS FOREMOST. AND THE
19 PHYSICIAN DOING THE OOCYTE RETRIEVAL SHOULD NOT HAVE A
20 FINANCIAL STAKE IN THE OUTCOME OF THE RESEARCH, BUT MAY
21 RECEIVE REASONABLE COMPENSATION FOR SERVICES, BUT THERE
22 SHOULDN'T BE ANY INCENTIVES FOR RETRIEVAL OF MORE
23 RATHER THAN FEWER OOCYTES.

24 I'M NOT QUITE SURE -- I HEARD SOMEWHAT
25 DIFFERENT THINGS ABOUT WHETHER WE WANTED TO EXCLUDE THE

1 PRINCIPAL INVESTIGATOR ON THE GRANT FROM BEING THE
2 PERSON DOING THE OOCYTE RETRIEVAL. I'VE HEARD SOME
3 PEOPLE SAY, WELL, THERE MAY BE SITUATIONS WHERE THE PI
4 REALLY IS THE MOST SKILLED PERSON AT DOING OOCYTE
5 RETRIEVAL. I GUESS MY SENSE IS THERE'S A LOT OF
6 COMPETITION TO DO OOCYTE RETRIEVAL IN THE CLINICAL
7 WORLD. THERE SHOULD BE GOOD PEOPLE.

8 I HEARD A LOT OF ARGUMENTS ABOUT NOT WANTING
9 TO INTERFERE WITH TEAM BUILDING, WHERE TO DRAW THE
10 LINE. ONE SUGGESTION MIGHT BE WHAT THE NIH DOES WHICH
11 IS KEY PERSONNEL ON THE GRANT. SO I THINK IT'S FINE TO
12 HAVE A COLLABORATIVE RELATIONSHIP, BUT I'M NOT SURE I
13 WANT TO HAVE THE OOCYTE DONOR'S PHYSICIAN BE THE PI OR
14 A KEY INVESTIGATOR.

15 I THINK ANOTHER SAFEGUARD FOR THOSE WHO ARE
16 CONCERNED ABOUT THE IRB, AND WOULD ALSO ENHANCE THE
17 DEVELOPMENT OF BEST PRACTICES, IS TO SAY THE IRB IN
18 THESE KINDS OF PROTOCOL OF OOCYTE RETRIEVAL NEEDS TO
19 DOCUMENT OR EXPLAIN TO THE -- OR THE INVESTIGATOR NEEDS
20 TO SEND TO THE CIRM AS PART OF THE GRANT APPLICATION
21 PROCESS HOW THE INTEREST OF THE OOCYTE DONOR WILL BE
22 PROTECTED IN THE OOCYTE RETRIEVAL PROCESS. THE
23 RATIONALE FOR WHAT THE -- WHAT THE IRB PERMITTED AND
24 THE RATIONALE FOR IT AND THAT BE FORWARDED TO CIRM.
25 AND THAT COULD SERVE BOTH AS A CHECK IN SOME WAYS FOR

1 SOMEONE ELSE TO REVIEW, BUT ALSO IT'S A STIMULUS TO
2 BEST PRACTICES.

3 THAT PACKAGE SORT OF GETS AT WHAT WE'RE DOING
4 WITHOUT EITHER BEING TOO BURDENSOME OR BEING TOO LAX.
5 THE ONE AREA I'M NOT SURE WE REACHED AGREEMENT ON IS
6 ARE THERE PEOPLE THAT WE REALLY -- ARE THERE MEMBERS OF
7 THE INVESTIGATIVE TEAM WE REALLY DON'T WANT TO BE DOING
8 THE OOCYTE RETRIEVAL, PI AND PEOPLE WHO ARE REALLY
9 SENIOR PARTS OF THAT TEAM, WHILE STILL ALLOWING THE
10 OOCYTE DOCTOR TO HAVE AN INTELLECTUAL ONGOING
11 COLLABORATION WITH THE RESEARCHERS.

12 DR. WAGNER: THE ONLY WORRY ABOUT ALL THAT IS
13 YOUR STATEMENT ABOUT NOT BEING A KEY INVESTIGATOR
14 BECAUSE OF THE FACT THAT I THINK THAT THAT'S
15 EXCLUSIONARY. I JUST COULD ENVISION THAT THERE WOULD
16 BE -- FIRST OFF, IN PRACTICE WHAT HAPPENS WITH THE
17 STUFF THAT I DO IS FREQUENTLY THE IVF TEAM OR ONE
18 PERSON ON THE IVF TEAM WILL CALL OUR GROUP AND ASK IF
19 WE WANT TO DEVELOP A COLLABORATION. THEY'RE MOTIVATED,
20 FOR WHATEVER REASON, TO DEVELOP A PROGRAM.

21 I GUESS THE QUESTION IS THAT DO WE WANT TO BE
22 SO RESTRICTIVE AND SAY YOU CAN'T BE A KEY INVESTIGATOR.
23 RATHER, I WOULD FEEL MORE COMFORTABLE SAYING THERE IS
24 THE POTENTIAL FOR CONFLICT, AND AS YOU SAID BEFORE, YOU
25 GIVE US A PLAN OF HOW YOU ARE GOING TO MINIMIZE RISK

1 RATHER THAN US SAYING YOU CAN'T BE A KEY INVESTIGATOR.

2 CO-CHAIR LO: OTHERS?

3 DR. ROWLEY: I WOULD JUST SUPPORT THAT
4 POSITION OF JOHN'S BECAUSE YOUR STATEMENT OF NOT BEING
5 A KEY INVESTIGATOR, IT RINGS SOME BELLS WITH ME. I
6 THINK PARTICULARLY AS ONE LOOKS FORWARD TO GRANT
7 PROPOSALS, YOU ARE GOING TO HAVE TO DEMONSTRATE THAT
8 YOU DO HAVE A RELATIONSHIP, AN ONGOING RELATIONSHIP
9 WITH A CLINIC OR AN INDIVIDUAL TO BE ABLE TO RETRIEVE
10 OOCYTES. AND YOU DON'T JUST GET ONE FROM THIS PERSON
11 OR ONE FROM SOMEBODY ELSE AND ONE FROM A THIRD. YOU
12 DEVELOP A RELATIONSHIP. AND THE REASON FOR THE
13 CLINICIAN TO BE IN THIS RELATIONSHIP, AS HAS BEEN SAID
14 BY OTHERS, IS BECAUSE THIS GIVES AN ADDED INTELLECTUAL
15 CHALLENGE AND A REWARD AND EXCITEMENT TO WHAT CAN
16 OTHERWISE BE, I WON'T SAY ROUTINE PROCEDURE, BUT
17 LACKING THAT KIND OF INTELLECTUAL EXCITEMENT.

18 CO-CHAIR LO: SO I'M HEARING PEOPLE NOT
19 WANTING TO EXCLUDE KEY INVESTIGATORS. HOW ABOUT THE
20 PRINCIPAL INVESTIGATOR OF THE GRANT? SHOULD THAT
21 PERSON DO OOCYTE RETRIEVAL?

22 DR. PRIETO: I THINK GENERALLY NOT. WE
23 ALLOW -- OUR CURRENT LANGUAGE ALLOWS SPECIAL
24 EXEMPTIONS. THOSE NEED TO BE LOOKED AT CAREFULLY, BUT
25 PERHAPS WE DON'T NEED TO ADDRESS ALL THE POSSIBLE WAYS

1 THAT THAT EXEMPTION MIGHT HAVE TO COME UP OR MIGHT COME
2 UP IN THE REGULATORY LANGUAGE. I LIKE ALTA'S IDEA OF
3 KEEPING THE LANGUAGE SPARE AND COMMITTING TO DEVELOPING
4 BEST PRACTICE GUIDELINES BECAUSE THOSE ARE MORE LIKELY
5 TO BE ABLE TO EVOLVE AND CHANGE AS THE SCIENCE EVOLVES.

6 CO-CHAIR LO: I WANT AT THIS POINT TO ASK THE
7 PUBLIC TO COMMENT ON THIS BECAUSE I THINK THIS IS A
8 VERY IMPORTANT ISSUE, AND I WANT TO MAKE SURE WE HEAR
9 CONCERNS, SUGGESTIONS FROM THE PUBLIC.

10 MR. REYNOLDS: GOOD MORNING. JESSE REYNOLDS.
11 I'D ACTUALLY LIKE TO USE THIS OPPORTUNITY TO BRING UP
12 ANOTHER ASPECT THAT YOU MAY WANT TO CONSIDER AND I
13 ENCOURAGE YOU TO INCORPORATE INTO THIS PROVISION. I
14 COMMENTED ABOUT THE ISSUE ABOUT THE PRINCIPAL
15 INVESTIGATOR AND SO FORTH YESTERDAY.

16 BUT WHAT'S ON MY MIND ARE SOME OF THE
17 IMPORTANT AND IN MANY WAYS NOVEL ISSUES THAT ARE
18 BROUGHT UP BY THE SCNT ASPECT OF EMBRYONIC STEM CELL
19 RESEARCH. AND A LOT OF OUR TALK IN THE LAST TWO DAYS
20 HAS FOCUSED ON THE EGG RETRIEVAL PROCESS. I'D ALSO
21 LIKE TO START THINKING ABOUT ISSUES REGARDING THE
22 PRODUCT OF SCNT, CLONAL BLASTOCYST MIGHT BE APPROPRIATE
23 LANGUAGE.

24 AND IN THIS REGARD, THERE ARE, I THINK, A
25 COUPLE OF EFFECTIVE AND FAIRLY SIMPLE WAYS TO PREVENT

1 POTENTIAL MISUSES OF CLONAL BLASTOCYSTS, THE LOGICAL
2 MISUSE BEING REPRODUCTIVE CLONING AND THERE ARE OTHERS.
3 ONE THAT I ENCOURAGE YOU TO CONSIDER IN THIS SECTION
4 MIGHT BE A GEOGRAPHIC SEPARATION IN SOME WAY OF THE EGG
5 RETRIEVAL PROCESS AND THE LAB BENCH WORK OF SCNT. THE
6 MOVEMENT OF PRODUCTS FROM THE EGG RETRIEVAL AREA TO THE
7 BENCH SHOULD IDEALLY BE A ONE-WAY PATH, AND THAT THE
8 SCNT BLASTOCYST SHOULD NOT BE RETURNED TO AN IVF
9 CLINICAL SETTING FOR POTENTIAL ABUSE IN REPRODUCTIVE
10 CLONING.

11 SO SOMETHING YOU MAY WANT TO CONSIDER ADDING
12 WOULD BE SOME PHYSICAL SEPARATION OF THESE FACILITIES.
13 THANK YOU.

14 CO-CHAIR LO: JUST TO REMIND US, YOUR
15 CONCERNS, WHICH YOU STATED YESTERDAY, ABOUT HAVING A
16 PRINCIPAL INVESTIGATOR BE INVOLVED IN THE OOCYTE
17 RETRIEVAL PROCESS AND WHETHER YOU THINK WHAT WE JUST
18 TALKED ABOUT ADDRESSES THOSE CONCERNS OR NOT.

19 MR. REYNOLDS: AS I SAID YESTERDAY, I'D
20 ENCOURAGE YOU TO STRENGTHEN THAT PROVISION. IT SEEMS
21 THAT THE SENSE OF THE BOARD IS NOT. TO MY PERSONAL
22 OPINION, THAT SHOULD BE STRENGTHENED. SOME OF THE
23 LANGUAGE THAT WAS CONSIDERED IN THE LAST FEW MINUTES
24 ABOUT PERHAPS HAVING A PROVISION WHERE THE CLINICIAN
25 RESPONSIBLE FOR EGG RETRIEVAL NOT HAVE A FINANCIAL

1 STAKE IN THE OUTCOME OF THE RESEARCH IS ONE APPROACH,
2 AND I THINK I'D ENCOURAGE YOU TO ADOPT THAT.

3 CO-CHAIR LO: OTHER PUBLIC COMMENTS ON THIS
4 ISSUE? OKAY.

5 DO WE HAVE A QUORUM? WE DO.

6 CO-CHAIR LANSING: WHO ARE WE WAITING FOR?

7 CO-CHAIR LO: JON SHESTACK.

8 CO-CHAIR LANSING: WE HAVE A QUORUM WITHOUT
9 HIM.

10 CO-CHAIR LO: WE DO HAVE TWELVE. WE'RE IN
11 BUSINESS.

12 DR. EGGAN: THIS PROCEDURE OF RETRIEVING
13 OOCYTES IS A NOW HIGHLY REGIMENTED, WIDELY PERFORMED
14 CLINICAL ACTIVITY. AND IT SEEMS TO ME THAT, OF COURSE,
15 PARENTHETICALLY, THAT THE GREATEST CONCERN OVER, AT
16 LEAST, MONETARY GAIN -- TO MAKE A LONGER STORY SHORT
17 WITH RESPECT TO MONETARY GAIN, IT'S HARD FOR ME TO
18 IMAGINE HOW THE PERSON DOING THE EGG RETRIEVAL COULD BE
19 CONSIDERED AS AN INVENTOR, SAY, ON A PATENT, WHICH
20 MIGHT RESULT FROM SOMATIC CELL NUCLEAR TRANSPLANTATION.
21 OF COURSE, THAT WOULD BE, I WOULD THINK, THE GREATEST
22 CONCERN OVER MONETARY GAIN.

23 SO I THINK THAT, IN FACT, IT'S REALLY NOT A
24 PROBLEM THAT EXISTS WITH RESPECT TO THAT ONE ISSUE.
25 AND SO REALLY I THINK THE WAY TO LIMIT THIS IS TO SAY

1 THAT THIS PERSON SHOULDN'T BE PAID EXORBITANT SUMS OF
2 MONEY IN ORDER TO DO THAT RETRIEVAL. I DON'T KNOW IF
3 THERE'S SOME WAY TO WORK THAT INTO THE LANGUAGE, THE
4 SORT OF THINGS THAT WE TALKED ABOUT BEFORE. I DON'T
5 KNOW HOW DIFFICULT IT IS TO CODIFY LANGUAGE LIKE THAT.
6 THAT'S PROBABLY NOT TRIVIAL. THAT IT SEEMS TO ME
7 SHOULD BE THE FOCUS BECAUSE I THINK THE OTHER CONCERN
8 IS A LEGITIMATE ONE.

9 MS. FEIT: WHAT WAS THE OUTCOME OF THE GROUP
10 IN VIRGINIA THAT HAD A POTENTIAL CONFLICT IN THEIR
11 PROCESS?

12 DR. TAYLOR: THE ULTIMATE OUTCOME WAS THAT
13 THE IRB ACTUALLY SHUT DOWN THE STUDY IN LIGHT OF THE
14 SORT OF CONTROVERSY.

15 CO-CHAIR LO: PUBLIC CRITICISM. THE DIRECTOR
16 OF THE IVF CLINIC WAS RETRIEVING OOCYTES SOLELY FOR
17 RESEARCH PURPOSES AND ALSO WAS THE PRINCIPAL
18 INVESTIGATOR FOR THE RESEARCH ITSELF. IT'S THE SAME
19 PERSON FOR BOTH.

20 DR. KIESSLING: THIS IS A REALLY GOOD PERSON.
21 THIS IS NOT -- THERE WAS NOTHING NEFARIOUS HERE. THIS
22 IS GOOD TEAM, BUT IT WAS PERCEIVED -- THERE WERE LOTS
23 OF ISSUES WITH IT, BUT IT WAS PERCEIVED A REAL
24 CONFLICT.

25 DR. TAYLOR: I THINK IT'S FAIR TO SAY THAT

1 THE SUBJECTS THAT WERE INVOLVED WERE ALSO SATISFIED AND
2 HAD AN OPPORTUNITY TO MAKE A CONTRIBUTION THAT THEY HAD
3 PREVIOUSLY BEEN A LITTLE BIT FRUSTRATED BY BECAUSE THEY
4 WEREN'T COMPLETELY CLEARED FOR CLINICAL OOCYTE
5 DONATION.

6 NOW, I THINK THAT THERE MIGHT BE SOME LESSONS
7 TO BE LEARNED THERE BECAUSE I BELIEVE THAT THERE MIGHT
8 HAVE BEEN SOME CIRCUMSTANCES WHERE THERE WERE
9 PSYCHOLOGICAL REASONS THAT THEY DIDN'T ACQUIRE THAT
10 FINAL CLEARANCE. AND THAT WOULD NOT BE NECESSARILY THE
11 IDEAL SOURCE OF SUBJECTS FOR THIS TYPE OF PURE STUDY.

12 DR. KIESSLING: ROB, I WOULD REALLY LIKE TO
13 HEAR FROM YOU ON THIS. HOW DO YOU THINK THIS SHOULD
14 BE? THIS IS YOUR WORLD. WHAT'S THE BEST WAY TO ALLOW
15 EVERYBODY TO FEEL COMFORTABLE THAT THIS DONOR IS GOING
16 TO BE NOT SUBJECT TO MORE THAN SHE SHOULD BE FOR THE
17 RESEARCH?

18 DR. TAYLOR: MY PERSONAL FEELING IS THAT A
19 CLINICIAN INVOLVED IN THE TEAM AND CARE OF A SUBJECT
20 HAS A HIPPOCRATIC REASON FOR SEEING THAT THE VERY BEST
21 OUTCOME OF THAT SUBJECT IS THE PRIMARY THING IN MIND.
22 I THINK THAT THERE'S A RISK OF DISSOCIATING IT TOO MUCH
23 TO SORT OF SENDING A PATIENT SUBJECT TO ANOTHER PLANET
24 TO HAVE THE EGG RETRIEVAL DONE IN A VERY SORT OF
25 STERILE, TECHNICAL FASHION WHERE THERE'S NOT A SENSE OF

1 RESPONSIBILITY. I THINK THAT MAY BE AS RISKY AS THE
2 CONCERNS ABOUT UNDUE CONFLICT.

3 AGAIN, I BELIEVE AND I AGREE WITH KEVIN THAT
4 I THINK THAT THE LIKELIHOOD THAT THERE'S GOING TO BE
5 PATENT-DRIVEN, HUGE FINANCIAL GAIN FOR THE CLINICAL
6 PERSON IS REALLY MINIMAL. BUT I DO THINK THAT IF YOU
7 WERE TO TAKE AWAY ALL OF THE ACADEMIC OPPORTUNITIES TO
8 CONTRIBUTE TO THE DEVELOPMENT OF THE SCIENCE, THEN YOU
9 ARE GOING TO DISSOCIATE THAT PERSON SO MUCH, THAT WE
10 POSSIBLY MIGHT NOT HAVE THE SUBJECT'S BEST INTEREST IN
11 MIND.

12 DR. WILLERSON: I SEEM TO BE JUST FOLLOWING
13 AND SUPPORTING YOU, BUT THIS IS REAL IMPORTANT. WE'RE
14 TRYING TO BUILD TEAMS LIKE THIS IN MEDICINE WHERE BASIC
15 SCIENTISTS AND CLINICAL SCIENTISTS WORK TOGETHER. IF
16 YOU HAVE THE RIGHT PEOPLE INVOLVED AND INFORMED CONSENT
17 IS ADHERED TO TO THE LETTER, THEN THIS SHOULD WORK VERY
18 WELL. IN FACT, YOU HAVE PEOPLE WITH GREAT EMPATHY
19 ABOUT IT, GREAT PASSION ABOUT IT. THEY'RE GOING TO
20 MAKE SURE THIS IS DONE WELL. I DON'T THINK THEY'LL BE
21 OUT ON THE STREET TRYING TO COAX PEOPLE INTO THE
22 HOSPITAL FOR THIS KIND OF THING, BUT IT WILL HELP MOVE
23 IT FORWARD, FOR SURE. AND I WOULDN'T FIDDLE WITH THIS
24 VERY MUCH. I WOULD TRUST IRB'S. I WOULD INSIST ON THE
25 INFORMED CONSENT. WE SHOULD HAVE SOME -- WE TALKED

1 YESTERDAY ABOUT SOME REVIEW OF THE GROUP THAT IS GOING
2 TO REVIEW THESE PROPOSALS, SOME AUDITING CAPABILITY.
3 THIS OUGHT TO BE PART OF THAT PROCESS, BUT I REALLY
4 WOULD ENCOURAGE THIS, NOT DISCOURAGE IT.

5 CO-CHAIR LO: LET ME ASK A QUESTION, I GUESS,
6 AGAIN, DIRECTED TO YOU, ROB. IT STRIKES ME THAT IN A
7 NORMAL CLINICAL IVF, THERE'S A LOT OF DISCRETION FOR
8 THE PHYSICIAN AND THE PATIENT TO MAKE DECISIONS ABOUT
9 PARTICULARLY HORMONAL MANIPULATION. OFTEN A WOMAN
10 WHO'S REALLY ANXIOUS TO GET PREGNANT MAY WANT TO
11 MAXIMIZE THE NUMBER OF OOCYTES RETRIEVED EVEN IF THERE
12 ARE SOMEWHAT INCREASED RISKS. IF WE'RE FOLLOWING THE
13 PRINCIPLE OF RISKS TO THE RESEARCH DONOR MUST BE
14 MINIMIZED, THEN YOU WANT THESE PEOPLE TO USE A DOSE
15 THAT, IF ANYTHING, IS LOWER THAN THE RANGE OF DOSES
16 THAT ARE NORMALLY USED IN CLINICAL PRACTICE.

17 I GUESS ONE QUESTION IS DO WE FEEL
18 COMFORTABLE LEAVING IT TO THE IRB TO CARRY OUT THIS
19 MANDATE OF MINIMIZING RISKS TO RESEARCH PARTICIPANTS,
20 WHICH IS PART OF THEIR GENERAL MANDATE UNDER THE
21 FEDERAL REGULATIONS, TO MAKE SURE THOSE DETAILS ARE IN
22 PLACE? OR DO WE JUST -- SOMEONE, I THINK, NEEDS TO BE
23 FAIRLY DETAILED. MY QUESTION IS IS THE IRB CAPABLE OF
24 DOING THAT WITH REGARD TO THOSE DETAILS OF HOW THEY'RE
25 GOING TO MANAGE THEM?

1 DR. WILLERSON: LET ME SUGGEST ONE OTHER
2 POSSIBILITY. I DON'T WANT TO MAKE THIS TOO REGULATED,
3 AS YOU CAN TELL. BUT ONE POSSIBILITY WOULD BE THAT
4 THERE BE A TRAINING SESSION FOR THE PHYSICIAN
5 SCIENTISTS, THOSE THAT ARE GOING TO BE INVOLVED IN
6 DEALING WITH THESE WOMEN SO THAT THERE IS SOME
7 COUNSELING ABOUT IT TO START WITH, AND THAT COULD BE
8 THE RESPONSIBILITY OF THE IRB OR IT COULD BE THE
9 RESPONSIBILITY OF THIS OTHER GROUP THAT WE FORM WHO
10 HAVE SPECIALIZED KNOWLEDGE ABOUT IT.

11 WE'RE FORCED TO TAKE THOSE KINDS, I AM, THOSE
12 KINDS OF TRAINING, EXAMINATIONS. I'VE BEEN INVOLVED IN
13 CLINICAL RESEARCH FOR 35 YEARS, BUT EVERY YEAR, AND I
14 THINK PROBABLY EVERYBODY ELSE IN HERE WHO'S DOING
15 CLINICAL SCIENCE, HAS TO TAKE A REVIEW COURSE, AN EXAM,
16 AND SO ON. THERE COULD BE -- THIS COULD BE FRAMED VERY
17 CAREFULLY FOR THIS KIND OF WORK.

18 DR. TAYLOR: I THINK THERE ARE ACTUALLY SOME
19 BEST PRACTICES THAT MIGHT BE HERE IN THE U.S. THAT WE
20 HAVE THE BEST OPPORTUNITY TO LEARN THIS, BUT COUNTRIES
21 LIKE GERMANY AND SWITZERLAND WHERE THEY'VE REALLY
22 LIMITED THE NUMBER OF EMBRYOS THAT CAN BE TRANSFERRED
23 HAS REALLY CHANGED THE MANAGEMENT OF THOSE PATIENTS IN
24 TERMS OF OVARIAN STIMULATION. SO WE COULD, I BELIEVE,
25 ADOPT BEST PRACTICES FROM CLINICAL REGULATIONS IN OTHER

1 COUNTRIES WHERE THAT'S BEEN LIMITED. AND I WOULD AGREE
2 TO INCORPORATE THEM INTO PERHAPS A CIRM-SPONSORED
3 INVESTIGATOR'S CONFERENCE OR EDUCATIONAL ACTIVITY THAT
4 WOULD SORT OF GET ACROSS THOSE POINTS. I THINK THAT'S
5 A WONDERFUL SUGGESTION.

6 DR. WAGNER: PART OF THIS DISCUSSION ABOUT
7 HAVING THIS OVERSIGHT BY THE IRB AND HOW THAT'S
8 ACTUALLY DONE, IT REMINDS ME TO ASK THE QUESTION, AND
9 MAYBE THIS HAS BEEN ADDRESSED ELSEWHERE, AND THAT IS
10 THAT SOMETIMES THE IVF CLINIC ITSELF WILL HAVE AN IRB
11 SEPARATE FROM THE INSTITUTION THAT IS ACTUALLY DOING
12 THE RESEARCH. AND IN MY OWN EXPERIENCE, IT'S BEEN THE
13 NORM RATHER THAN THE EXCEPTION. SO WHEN WE TALK ABOUT
14 HOW THIS IS GOING TO ACTUALLY FUNCTIONALLY BE DONE, WE
15 HAVE TO KEEP IN MIND THAT THERE'S GOING TO BE A HIGH
16 LIKELIHOOD THAT THE IRB MAY BE HUNDREDS OF MILES APART
17 POSSIBLY.

18 SO HOW WOULD THE IRB ACTUALLY BE ABLE TO
19 MONITOR WHAT IS ACTUALLY GOING ON IN AN IVF CLINIC WHEN
20 THEY'RE SO DELINKED FROM EACH OTHER? THAT'S ONE ISSUE.

21 THE OTHER ISSUE THAT WE NEED TO KEEP IN MIND,
22 AND A PART OF THIS DISCUSSION HAS BEEN BECAUSE
23 SOMETIMES IVF CLINICS HAVE BEEN UNRULY, OFTENTIMES
24 DRIVEN BECAUSE OF THE FACT THAT THEY WANT TO DO WHAT
25 THE CLIENT WANTS. AND SO WE HAVE TO BE CAREFUL ABOUT

1 THE DESIRE TO DO THE RIGHT THING AND, IN FACT, TO BE
2 MISGUIDED. AND I CAN GIVE YOU EXAMPLES OF THAT. YOU
3 PROBABLY ALREADY KNOW THOSE THINGS. I THINK THAT'S
4 PART OF THE REASON WHY THIS HAS BEEN SUCH AN AREA OF
5 CONCERN IS BECAUSE WE NEED TO MAKE SURE THAT WHAT WE
6 DESIRE IS ACTUALLY BEING IMPLEMENTED AND HOW DO WE MAKE
7 SURE THAT THAT'S HAPPENING.

8 CO-CHAIR LO: I THINK I'M HEARING AGREEMENT.
9 I'LL TRY AND SUMMARIZE IN A MINUTE. ONE POINT I WANT
10 TO COME BACK TO. I THINK I'M HEARING AGREEMENT, AND
11 I'LL TRY AND SUMMARIZE IT IN A MINUTE. THE ONE POINT
12 I'M NOT SURE I UNDERSTAND THE WILL OF THE COMMITTEE IS
13 WHETHER THE PI ON THE CIRM GRANT MAY BE A PRINCIPAL --
14 MAY BE THE PERSON DOING THE OOCYTE RETRIEVAL.
15 CURRENTLY WE HAVE THAT THAT SHOULD NOT BE THE SAME
16 PERSON UNLESS AN IRB HAS APPROVED AN EXEMPTION SO THAT
17 WE SAY GENERALLY NOT, BUT THERE MAY BE SOME
18 POSSIBILITY.

19 ARE WE COMFORTABLE WITH THAT? AND KEEPING IN
20 MIND WHAT WE HEARD ABOUT THE NORFOLK IVF CLINIC
21 SITUATION, ARE WE COMFORTABLE WITH THAT GENERAL RULE
22 WITH POSSIBLY THE EXCEPTION BY THE IRB? IT'S ONE THING
23 I'M NOT SURE I HEARD AGREEMENT.

24 DR. TAYLOR: I'D PERSONALLY BE UNCOMFORTABLE
25 WITH ANYTHING LESS. I THINK THAT THAT -- I THINK WE

1 HAVE TO HAVE AT LEAST THAT LEVEL.

2 DR. PRIETO: DO YOU THINK IT SHOULD BE
3 ABSOLUTELY PROSCRIBED?

4 DR. TAYLOR: I SUSPECT THAT THERE MIGHT BE
5 OCCASIONAL CIRCUMSTANCES IN WHICH IT SHOULDN'T BE
6 PROSCRIBED, BUT I THINK THAT IT SHOULD BE DISCOURAGED.

7 DR. WAGNER: CAN WE SAY DISCOURAGED? WE'RE
8 LEANING TOWARD THE SAME WAY, I BELIEVE, THAT WE'RE
9 SAYING THAT MAYBE THERE IS SOME REASON THAT WE CAN'T
10 IMMEDIATELY COME UP WITH, AND SO WE DON'T WANT TO
11 ELIMINATE IT, BUT AT THE SAME TIME MAKE IT CLEAR THAT
12 IT'S DISCOURAGED.

13 CO-CHAIR LO: IT'S MORE THAN JUST A LITTLE
14 PRESUMPTION. THIS IS A STRONG EXPECTATION.

15 DR. PRIETO: STRENGTHENING THIS LANGUAGE.

16 MS. FEIT: I WOULD AGREE WITH US COMING OUT
17 WITH A STRONG STATEMENT ABOUT IT; AND IF THERE ARE
18 EXCEPTIONS, THEN THOSE EXCEPTIONS NEED TO WORK THEIR
19 WAY THROUGH ALL THE PROCESSES, INCLUDING CIRM, TO
20 EXPLAIN WHY THEY THINK THEY NEED AN EXCEPTION. BUT
21 LIKE THE VIRGINIA PROJECT, GREAT INVESTIGATOR, GREAT
22 PROCESS, IRB FELT COMFORTABLE, GOOD INTEGRITY THERE,
23 BUT THE COMMUNITY OUTCRY. THE COMMUNITY RECOGNIZED THE
24 CONFLICT AND WAS UNCOMFORTABLE, AND WE WANT TO AVOID
25 THAT. THERE'S NO REASON FOR US NOT TO COME OUT WITH A

1 STRONG STATEMENT THAT SAYS WE DON'T SUPPORT THAT
2 PROCESS.

3 DR. PRIETO: HOW ABOUT A STATEMENT THAT
4 EXEMPTIONS SHOULD BE GRANTED ONLY UNDER EXTRAORDINARY
5 CIRCUMSTANCES WHEN NO REASONABLE ALTERNATIVE EXISTS?

6 CO-CHAIR LO: THAT'S STRONG. LET ME TRY AND
7 SEE IF WE HAVE AGREEMENT. AND, FIRST, THAT THE IRB
8 MUST IN A PROTOCOL THAT INVOLVES OOCYTE RETRIEVAL FOR
9 RESEARCH, THE IRB MUST ENSURE THAT THE RISKS TO THE
10 WOMAN DONATING OOCYTES ARE MINIMAL. THAT, I THINK,
11 WILL PASS REGULATORY MUSTER. IF WE CAN THROW IN SOME
12 LANGUAGE IN THE PREAMBLE ABOUT WE WANT TO KEEP THE
13 WELL-BEING AND INTEREST OF THE DONOR PARAMOUNT, I WOULD
14 LIKE TO TRY AND DO THAT TO SHOW OUR COMMITMENT. I'M
15 NOT SURE THE AOL WILL LET US DO THAT IN REGULATION.

16 SECOND, WE WANT TO EXCLUDE THE PERSON DOING
17 THE OOCYTE RETRIEVAL FROM HAVING A FINANCIAL STAKE IN
18 THE OUTCOME OF THE RESEARCH. THAT'S LANGUAGE THAT
19 SCOTT SUGGESTED THAT I THINK WILL PASS REGULATORY
20 MUSTER. BUT THAT PHYSICIAN MAY RECEIVE REASONABLE
21 COMPENSATION FOR HER SERVICES, BUT THERE SHOULD BE NO
22 INCENTIVE TO PROVIDE MORE RATHER THAN FEWER OOCYTES FOR
23 RETRIEVAL.

24 THE PRINCIPAL INVESTIGATOR -- NOW, GEOFF
25 POINTED OUT THAT THE LANGUAGE WE CURRENTLY HAVE IS

1 TAKEN WORD FOR WORD FROM THE NAS REPORT ABOUT THE
2 PHYSICIAN AND THE FUNDED RESEARCHER NOT BE THE SAME
3 PERSON WITH THE AMBIGUITY IN FUNDED RESEARCHER. BUT I
4 HEARD US WANTING TO EXCLUDE -- THE PERSON DOING THE
5 OOCYTE RETRIEVAL SHOULD NOT BE THE PRINCIPAL
6 INVESTIGATOR ALTHOUGH THE IRB MAY ALLOW AN EXCEPTION TO
7 THIS UNDER EXTRAORDINARY CIRCUMSTANCES FOR COMPELLING
8 REASONS.

9 DR. PRIETO: I'D SUGGEST WE CHANGE THAT. IF
10 WE JUST MAKE THAT ONE CHANGE, FUNDED RESEARCHER TO THE
11 PRINCIPAL RESEARCHER OR PRINCIPAL INVESTIGATOR SHALL
12 NOT BE THE SAME PERSON, THEN ADD THE SENTENCE THAT I
13 JUST GAVE YOU.

14 CO-CHAIR LO: I THOUGHT THE LANGUAGE WAS
15 WONDERFUL, AND I DIDN'T QUITE GET IT ALL.

16 DR. PRIETO: EXEMPTIONS SHOULD BE GRANTED
17 ONLY UNDER EXTRAORDINARY CIRCUMSTANCES WHEN NO
18 REASONABLE ALTERNATIVE EXISTS. ADD TO THAT, AND THIS
19 IS ON PAGE 6 (A)(4), ADDING TO THAT PARAGRAPH,
20 EXEMPTIONS SHOULD BE GRANTED ONLY UNDER EXTRAORDINARY
21 CIRCUMSTANCES, WHEN NO REASONABLE ALTERNATIVE EXISTS.

22 CO-CHAIR LO: THAT'S PRETTY STRONG. AND I
23 THOUGHT THAT WE SHOULD ALSO ASK THE INVESTIGATOR TO
24 EXPLAIN TO THE CIRM IN THE GRANT APPLICATION HOW THE
25 RISKS TO THE DONOR WOULD BE MINIMIZED DURING THE

1 RETRIEVAL PROCESS. I THINK IN THE STATEMENT OF
2 REASONS, WE SHOULD CALL FOR ADHERENCE -- IDENTIFYING
3 BEST PRACTICES AND TRYING TO ADHERE TO THEM. AND AMONG
4 THE WAYS THIS MIGHT BE CARRIED OUT, I HEARD SOME GOOD
5 SUGGESTIONS ABOUT TRAINING SESSIONS FOR THOSE DOING THE
6 OOCYTE RETRIEVAL AND ALSO MAKING SURE WE LEARN FROM THE
7 EUROPEAN SITUATION WHERE THE STANDARD OF CARE IS
8 ACTUALLY TO IMPLANT FEWER EMBRYOS SO THEY ACTUALLY
9 RETRIEVE FEWER OOCYTES.

10 I GUESS I'M LOOKING FOR AGREEMENT ON THOSE
11 PRINCIPLES, AND THEN THERE'S SOME LANGUAGE WE NEED TO
12 DRAFT. COMMENTS FROM THE --

13 MS. GREENFIELD: MY QUESTION IS IN REGARD TO
14 THE PATENT APPLICATION. LET'S SAY YOU'RE FUNDED AND
15 YOU'RE NOT -- YOU DON'T HAVE A FINANCIAL INTEREST GOING
16 IN, BUT LATER WHEN YOU APPLY FOR A PATENT ON
17 INVENTIONS, THERE'S NOTHING TO STOP THE PROVIDER FOR
18 WANTING TO BE ADDED TO THAT CLAIM. I JUST WONDER ABOUT
19 THAT POTENTIAL SITUATION.

20 DR. EGGAN: YES, THERE IS SOMETHING THAT
21 WOULD PREVENT THEM FROM BEING ADDED TO THE CLAIM
22 BECAUSE IT'S EXTREMELY UNLIKELY THAT A PATENT OFFICER
23 WOULD FIND THAT THAT WAS ACTUALLY AN ENABLING PART OF
24 THE INVENTION. SO IT IS ALMOST CERTAIN THAT BY ADDING
25 SOMEONE LIKE THAT TO A CLAIM, IT WOULD NULLIFY THE

1 PATENT APPLICATION.

2 MS. GREENFIELD: I'M NOT SURE. I'D HAVE TO
3 DO SOME RESEARCH, BUT I THINK THERE ARE SOME EXAMPLES
4 WHERE JUST BIOLOGICAL MATERIAL HAS BEEN THE UNDERLYING
5 BASIS.

6 CO-CHAIR LO: ONE THING WE COULD DO IS JUST
7 SAY IF YOU RETRIEVE THE OOCYTES, YOU CAN'T BE ON ANY
8 PATENTS RESULTING FROM.

9 DR. WAGNER: COULD I MAKE ONE COMMENT OR JUST
10 ASK A QUESTION TO THE GROUP? THAT IS, YOU DON'T KNOW
11 YOU HAVE A PATENT UP FRONT, SO AT THE TIME YOU'RE
12 TAKING CARE OF THE WOMAN WHO'S DONATING THE EGGS, YOU
13 DON'T KNOW THAT A PATENT WILL BE ISSUED; SO, THEREFORE,
14 YOU WOULDN'T THINK THAT IT WOULD INFLUENCE -- THIS IS
15 TALKING OUT LOUD, AND I DON'T MEAN -- I'M NOT MAKING AN
16 OPINION ONE WAY OR THE OTHER.

17 BUT TYPICALLY WE DON'T PROSCRIBE WHETHER OR
18 NOT YOU CAN BE A PART OF A PATENT. IF YOU'RE PART OF
19 THE THOUGHT PROCESS THAT THEN LEADS TO THE INVENTION,
20 THEN YOU'RE TYPICALLY ALLOWED TO BE ON THE PATENT.

21 ON THE OTHER HAND, IF WHAT WE ARE TRYING TO
22 DO IS TRYING TO PREVENT ABUSE OF THE WOMAN WHO'S
23 DONATING THE EGGS, AND YET, AT THE TIME THAT THAT'S
24 ACTUALLY TAKING PLACE, THERE IS NO PATENT. SO BY
25 SAYING THAT YOU CAN'T BE PART OF THE PATENT, IS THAT

1 REALLY HELPING?

2 MS. GREENFIELD: WELL, IN OTHER WORDS, IF
3 THERE'S SOME GENETIC MATERIAL THAT CAN BE TRACED BACK
4 TO A DONOR THAT IDENTIFIES A PARTICULAR GENE THAT IS
5 THEN USED, I DON'T SEE WHY THERE'S GOING TO BE ANY SORT
6 OF RESTRICTIONS ON THE CLINICIAN COMING FORWARD SAYING
7 I PROVIDED THAT BIOLOGICAL MATERIAL, AND AT LEAST TRY
8 TO SORT OF HAVE SOME CLAIM ON IT. I KNOW IT'S SORT OF
9 OUTSIDE MAYBE WHAT THE NORMAL THING IS, BUT I THINK
10 THERE'S A POTENTIAL FOR IT.

11 CO-CHAIR LO: YOUR CONCERN IS THAT IF THAT
12 WERE TO TAKE PLACE IN THE FUTURE, IT WOULD CALL INTO
13 QUESTION THE OBJECTIVITY OF THE DECISIONS MADE DURING
14 THE RETRIEVAL PROCESS?

15 MS. GREENFIELD: I THINK IT'S IN
16 CONTRADICTION TO YOUR IDEA OF NO FINANCIAL INTEREST
17 BECAUSE IF THERE'S A FUTURE FINANCIAL INTEREST, THERE'S
18 A FUTURE FINANCIAL INTEREST.

19 MR. SIMPSON: JOHN SIMPSON FROM THE
20 FOUNDATION FOR TAXPAYER AND CONSUMER RIGHTS. I WANT TO
21 JUST CLARIFY ONE THING HERE. YOU'RE BREAKING (3) AND
22 (4) OUT INTO A SEPARATE SECTION; IS THAT CORRECT
23 ESSENTIALLY? ARE THEY STAYING -- THE TWO ITEMS THAT
24 WE'RE DISCUSSING HERE, ARE THEY BECOMING A SEPARATE
25 SECTION?

1 CO-CHAIR LO: THAT'S WHAT WE'RE THINKING.

2 MR. SIMPSON: I JUST WANT TO CLARIFY THAT.
3 IT DID SOUND TO ME LIKE THAT WAS MOVING IN THE RIGHT
4 DIRECTION. BUT IN THE DISCUSSION THERE WAS SOMETHING
5 THAT CAME UP THAT I THOUGHT WAS IMPORTANT TO NOTE, AND
6 THAT IS HOW IN DISCUSSING WHAT HAPPENED IN THE VIRGINIA
7 CLINIC, APPARENTLY VERY WELL-INTENTIONED SCIENTISTS
8 WERE ABLE TO DO SOMETHING THAT REALLY WAS PRETTY
9 OBVIOUSLY A CONFLICT, WHICH I THINK SHOWS THE DANGER OF
10 CONFLICTS OF INTEREST OCCURRING AMONG PEOPLE WHO ARE
11 WELL-INTENTIONED AND OFTEN LIKE-MINDED BECAUSE THEY'RE
12 INVOLVED IN DOING A CERTAIN SPECIFIC KIND OF THING.

13 YOU HAVE THAT SYNDROME SOMETIMES WITH
14 JOURNALISTS WHO ALL THINK THE SAME WAY AND DON'T
15 REALIZE THAT THEY CAUSE SOME KIND OF A CONFLICT IN WHAT
16 THEY'RE DOING. MY POINT SIMPLY BEING THAT THAT, ONCE
17 AGAIN, REITERATES THE NEED FOR CONSTANT PUBLIC
18 INVOLVEMENT AND GENUINE INPUT IN THESE KINDS OF
19 PROCEDURES. THANK YOU.

20 CO-CHAIR LO: LET ME GO BACK TO THIS QUESTION
21 ABOUT FUTURE PATENTS. HOW IS THIS DEALT WITH IN OTHER
22 CLINICAL SITUATIONS? ORDINARILY, AT LEAST, DON'T YOU
23 AT LEAST HAVE TO DISCLOSE TO THE PERSON YOU ARE GETTING
24 CONSENT FROM THAT THE PERSON GETTING THE CONSENT AND
25 DOING THE RESEARCH MAY HAVE A FUTURE INTEREST IN

1 PATENTING THE MATERIALS? ISN'T THAT STANDARD FOR
2 IRB'S?

3 WE CERTAINLY DON'T WANT TO BE WEAKER THAN
4 CURRENT REGULATIONS.

5 MS. GREENFIELD: I THINK IT'S ONLY AN AMA
6 REGULATION.

7 CO-CHAIR LO: SO IT'S NOT A REGULATION. IT'S
8 A GUIDELINE.

9 MS. GREENFIELD: ALTHOUGH IT MIGHT HAVE
10 BEEN -- STATE BY STATE IT MIGHT BE. I'M NOT SURE.

11 CO-CHAIR LO: LET'S PLAY IT BACK. LET'S
12 FAST-FORWARD TO THE FUTURE. HOW WOULD THE PUBLIC REACT
13 IF UNDER CIRM FUNDING OOCYTES WERE RETRIEVED, SUCCESS,
14 RESEARCH IS A SUCCESS, THE PATENT IS GRANTED, AND A
15 PERSON WHO OBTAINED THE OOCYTES -- RETRIEVED THE
16 OOCYTES, DESPITE KEVIN'S READING OF THE PATENT LAW,
17 ACTUALLY IS NAMED ON THE PATENT? IS THAT SO
18 FARFETCHED, ALTA? IT IS. SO IT'S NOT AN ISSUE.

19 MR. LOMAX: COULD I OFFER A CLARIFICATION TO
20 THE WORKING GROUP? IF YOU TURN TO THE WORKING NOTES
21 NO. 3, IF YOU LOOK AT THE SPIRIT -- I'M REFERRING BACK
22 TO THAT TABLE AGAIN THAT COMPARES THE CALIFORNIA HEALTH
23 AND SAFETY CODE TO THE COMMON RULE, BOTH OF WHICH ARE
24 CITED IN THE INFORMED CONSENT REQUIREMENTS. YOU WILL
25 ACTUALLY NOTICE THAT A MAJOR THRUST OF CALIFORNIA

1 POLICY IN THIS AREA IS DISCLOSURE OF FINANCIAL AND
2 ECONOMIC INTERESTS OF THE RESEARCHER. SO BY BRINGING
3 THIS, THAT GETS BACK TO A PREVIOUS DISCUSSION WE HAD
4 THAT WE WERE TRYING TO BRING IN EXISTING REGULATIONS
5 THAT ADDRESSED THE ISSUE OF INFORMING THE PARTICIPANT
6 ABOUT POSSIBLE FINANCIAL INTERESTS AND FINANCIAL STAKES
7 BY THE RESEARCHER. AND EXISTING CALIFORNIA LAW DOES GO
8 ABOVE AND BEYOND THE COMMON RULE, AND IT'S REFLECTED IN
9 THAT TABLE. IF YOU'D LIKE TO LOOK AT THOSE PROVISIONS,
10 THEY'RE OUTLINED IN THIS DOCUMENT.

11 CO-CHAIR LO: SO THAT'S TAKEN CARE OF. I
12 GUESS I'M ASKING FOR WHETHER WE AGREE TO THE
13 REFORMULATION OF BOTH 04 AS I LAID THEM OUT. LEAVING
14 IT UP TO THE IRB, EXCLUDING THE OOCYTE RETRIEVER FROM
15 HAVING A FINANCIAL STAKE, ALLOWING REASONABLE
16 COMPENSATION, EXCLUDING THE PI EXCEPT FOR EXTRAORDINARY
17 EXCEPTIONS AND EXTRAORDINARY CIRCUMSTANCES WITH NO
18 REASONABLE ALTERNATIVE, AND HAVING TO EXPLAIN TO THE
19 CIRM IN THE GRANT APPLICATION HOW THE RISKS TO THE
20 OOCYTE DONOR WILL BE MINIMIZED. DOES THAT CAPTURE WHAT
21 WE'RE TRYING TO DO? ANY OBJECTIONS TO THAT? WE'LL
22 JUST RATIFY THIS. SOMEONE WANT TO MAKE A MOTION?

23 MS. CHARO: SO MOVED.

24 DR. EGGAN: SECOND.

25 CO-CHAIR LO: THOSE IN FAVOR PLEASE RAISE

1 YOUR HAND. WE GOT TO HAVE JEFF -- OH, JON. WELCOME.
2 BUT JON DOESN'T KNOW WHAT WE'RE DOING.

3 MR. SHESTACK: YOU NEED ME FOR A QUORUM?

4 DR. PRIETO: WE HAVE A QUORUM. MAYBE WHEN
5 JEFF GETS BACK IN THE ROOM, WE CAN RESTATE THE MOTION
6 AND VOTE.

7 MS. CHARO: WE CAN VOTE NOW AND LET JON
8 ABSTAIN.

9 CO-CHAIR LANSING: WE DON'T HAVE A QUORUM.

10 DR. EGGAN: WE SHOULD STILL RESTATE THE
11 MOTION.

12 CO-CHAIR LO: LET'S WAIT A MINUTE TO SEE --
13 THE OTHER THING WE CAN DO IS TAKE OUR BLADDER BREAK
14 NOW, AND THEN LOCK SHEEHY IN THIS ROOM WHEN WE COME
15 BACK. LET'S TAKE A SEVEN-MINUTE BREAK.

16 (A RECESS WAS TAKEN.)

17 CO-CHAIR LO: CAN WE RECONVENE, PLEASE. WE
18 ACTUALLY HAVE A LOT OF THINGS I'D LIKE TO TRY AND COVER
19 IN THE TIME WE DO HAVE. WE NEED TO MAKE SURE WE HAVE
20 EVERYBODY BACK. WHO ARE WE MISSING? SCOTT AND KATE,
21 IF YOU COULD PATROL THE LADIES AND MEN'S ROOMS.
22 FRANCISCO, KEVIN, JANET. WE'RE MISSING A BUNCH OF
23 PEOPLE. WE'RE WAITING FOR JANET, JIM WILLERSON.

24 MAYBE IF WE DON'T OFFICIALLY HAVE A QUORUM,
25 COULD WE SORT OF PUT WHAT WE JUST TALKED ABOUT ON HOLD

1 AND TALK ABOUT SOME OTHER ISSUES AND COME BACK TO THAT?
2 BY THE WAY, WE NEED -- A LOT OF YOU HAVE TIGHT PLANE
3 CONNECTIONS. AND HOW MANY OF YOU NEED TO LEAVE RIGHT
4 AT THE CLOSE OF THE MEETING AT ONE TO GO TO LAX? 12:30
5 IS OUR DEADLINE.

6 LET'S GO AHEAD TO THE NEXT SECTION WHILE
7 WE'RE WAITING FOR OUR QUORUM. ON PAGE 9, SECTION -- SO
8 WHAT WE HAVE TO DO, JUST SO WE'RE CLEAR, ON THE LAST
9 PAGE 100009 IS NEW, 100011, THE LANGUAGE IS NEW, BUT
10 THE THOUGHT WE TALKED ABOUT EXTENSIVELY, AND THEN WE
11 NEED TO GO BACK TO TWO PARTS WE SKIPPED YESTERDAY
12 BEFORE WE ADJOURNED, WHICH ARE PAGE 3 AND 4, SCRO,
13 MEMBERSHIP AND FUNCTION, REVIEW AND NOTIFICATION.
14 THOSE WE DISCUSSED EXTENSIVELY AT PREVIOUS MEETINGS.
15 THERE ARE ONLY JUST MINOR WORD CHANGES TO THAT.

16 SO JUST WANT TO SORT OF FOCUS ON WHAT WE NEED
17 TO DO, WHAT I'D LIKE TO ACCOMPLISH BETWEEN NOW AND OUR
18 ADJOURNMENT. FAIRNESS AND DIVERSITY OF RESEARCH ON
19 PAGE 9, IT IS THE INTENT OF CIRM TO ENSURE THAT WOMEN
20 AND MEMBERS OF MINORITY GROUPS ARE APPROPRIATELY
21 INCLUDED AS SUBJECTS OF HEALTH RESEARCH PROJECTS
22 CARRIED OUT BY CIRM-FUNDED INSTITUTIONS. I DON'T KNOW
23 IF ACTUALLY WE WANT TO SAY IN CIRM-FUNDED RESEARCH
24 PROJECTS.

25 CIRM ENDORSES THE OBJECTIVES OF THE

1 CALIFORNIA HEALTH RESEARCH FAIRNESS ACT, THE INCLUSION
2 OF WOMEN AND MINORITIES IN CLINICAL RESEARCH ACT, A
3 CALIFORNIA LAW, ALL CIRM-FUNDED RESEARCH SHALL CONFORM
4 TO THE REPORTING REQUIREMENTS IN THE CIRM GRANTS
5 ADMINISTRATION POLICY PURSUANT TO THE OBJECTIVES OF
6 THESE POLICIES. I GUESS IT'S TRACKING NUMBER OF WOMEN
7 AND MINORITIES ENROLLED IN THE STUDIES.

8 SO LET'S OPEN THAT SECTION UP FOR COMMENT AND
9 DISCUSSION.

10 MS. CHARO: WELL, I HATE TO BE THE KIND OF
11 LAWYER OVER AND OVER, BUT THIS DOESN'T SOUND LIKE THE
12 KIND OF THING YOU PUT IN A REGULATION. TALKING ABOUT
13 THE INTENT AND SPIRIT IS NOT FOR REGULATIONS. AND IF
14 THERE ARE APPLICABLE CALIFORNIA LAWS, AT MOST IN THE
15 REGULATION YOU WOULD SAY THAT ALL CIRM-FUNDED RESEARCH
16 SHALL COMPLY WITH AND LIST THE APPLICABLE CALIFORNIA
17 LAWS. IF YOU WANT TO TALK ABOUT INTENT AND SPIRIT, YOU
18 PUT IT INTO PREAMBLES, OR YOU PUT IT INTO MISSION
19 STATEMENTS FOR CIRM THAT APPEAR ON THE WEBSITE OR
20 ADOPTED BY ICOC. BUT IT'S NOT -- I HAVE NO PROBLEM
21 WITH THE CONTENT, BUT THE FORM, I THINK, IS NOT
22 APPROPRIATE FOR REGULATORY LANGUAGE.

23 CO-CHAIR LO: LET'S HOLD THAT BECAUSE THAT
24 MAY BE JUST A TECHNICAL THING WHETHER WE PUT IT IN THE
25 REGS OR THE PREAMBLE.

1 IN TERMS OF THE SPIRIT OF WHAT WE'RE TRYING
2 TO DO, WHICH PROBABLY IS THE MOST IMPORTANT THING,
3 CONCERNS, OBJECTIONS, COMMENTS, SUGGESTIONS?

4 MR. LOMAX: JUST A REMINDER. IT'S UNDER
5 AGENDA ITEM 7 (I), THE ACTUAL TEXT OF BOTH THE ACTS
6 CITED ARE IN YOUR PACKETS. IF YOU WANTED MORE DETAIL
7 IN TERMS OF WHAT WE ARE REFERRING TO, THAT BODY OF
8 CALIFORNIA REGULATION IS IN YOUR PACKET.

9 CO-CHAIR LO: COMMENTS OTHER THAN THE
10 REGULATORY APPROPRIATENESS?

11 DR. PRIETO: TO RESPOND TO ALTA'S COMMENTS,
12 IF WE JUST DELETED THE FIRST SENTENCE AND REWORDED THE
13 SECOND TO SAY THAT CIRM-FUNDED RESEARCH SHOULD ABIDE BY
14 THE TERMS OF -- THAT'S NOT THE MOST ELOQUENT PHRASE --
15 CALIFORNIA HEALTH RESEARCH FAIRNESS ACT AND THE OTHER
16 ACTS REFERENCED, AND JUST THE REST OF IT STAY AS IS.
17 THAT SOUNDS LIKE MORE REGULATORY LANGUAGE.

18 CO-CHAIR LO: I THINK AT THIS POINT, IF WE
19 AGREE WITH THE CONTENT, LET'S LEAVE IT UP TO SCOTT AND
20 OUR LEGAL CONSULTANTS HOW TO CRAFT IT IN A WAY THAT
21 WILL PASS ADMINISTRATIVE MUSTER. I'M NOT SURE IT'S THE
22 BEST USE OF OUR TIME TO TRY AND DRAFT IT. ARE PEOPLE
23 COMFORTABLE WITH WHAT WE'RE TRYING TO SAY, I GUESS, IS
24 THE QUESTION AND WHETHER IT GOES HERE OR IN THE
25 PREAMBLE OR HOW IT GETS WORDED? I'D LIKE TO NOT TO

1 SPEND OUR TIME HERE, IF THAT'S OKAY.

2 NO OBJECTIONS. ANY PUBLIC COMMENT ON THIS
3 SECTION, FAIRNESS AND DIVERSITY? OKAY. I'M GOING TO
4 HOLD VOTES UNTIL WE GET -- WE TECHNICALLY HAVE A
5 QUORUM, BUT I'D LIKE TO HAVE DR. WILLERSON HERE.

6 MR. TOCHER: YOU HAVE A QUORUM NOW, JUST FOR
7 THE RECORD.

8 CO-CHAIR LO: ON THIS SECTION 100009, DO I
9 HEAR A MOTION TO ADOPT THIS WITH THE UNDERSTANDING THAT
10 LEGAL COUNSEL WILL ADVISE US AS TO HOW TO WORD IT IN A
11 WAY THAT'S ACCEPTABLE TO THE OFFICE OF ADMINISTRATIVE
12 LAW AND, IF NECESSARY, MOVE IT TO THE STATEMENT OF
13 REASONS?

14 DR. PRIETO: SO MOVED.

15 DR. KIESSLING: SECOND.

16 CO-CHAIR LO: ALL THOSE IN FAVOR. ANYBODY
17 OPPOSED? IT'S UNANIMOUS.

18 LET'S NOW GO BACK TO WHAT WE WERE TALKING
19 ABOUT BEFORE JON WAS ABLE TO JOIN US. I'M GOING TO TRY
20 AND REVIEW THIS. SO THIS, JON, IS ON A REPLACEMENT ON
21 PAGE 6, THE BULLET NO. 4, AT THE SORT OF TOP THIRD OF
22 THE PAGE. OUR GOAL HERE IS TO MAKE SURE THAT WE'RE
23 PROTECTING, IN THE CASE OF OOCYTE DONATION FOR
24 RESEARCH, WE'RE PROTECTING THE INTERESTS AND WELL-BEING
25 OF THE DONOR. AND, ONE, THE IRB MUST ENSURE THAT THE

1 RISKS TO THE OOCYTE DONORS ARE MINIMIZED. THAT'S A
2 REQUIREMENT THAT'S CONSISTENT WITH THEIR OBLIGATIONS
3 UNDER FEDERAL REGULATIONS.

4 SECONDLY, THE PHYSICIAN CARRYING OUT OOCYTE
5 RETRIEVAL MAY NOT HAVE A FINANCIAL STAKE IN THE OUTCOME
6 OF THE RESEARCH, BUT MAY RECEIVE REASONABLE
7 COMPENSATION FOR HER SERVICES. THERE MAY BE NO
8 FINANCIAL INCENTIVES TO INCREASE THE NUMBER OF OOCYTES
9 RETRIEVED.

10 THIRD, THE PRINCIPAL INVESTIGATOR IN THE
11 CIRM-FUNDED GRANT MAY NOT BE THE PHYSICIAN RETRIEVING
12 OOCYTES; HOWEVER, AN IRB MAY GRANT AN EXCEPTION TO THIS
13 CLAUSE ONLY UNDER EXTRAORDINARY CIRCUMSTANCES WHERE NO
14 OTHER REASONABLE ALTERNATIVE EXISTS. THE REASON, WE
15 WANTED TO SORT OF BALANCE PROTECTION OF THE WOMEN
16 DONORS WITH TRYING TO BUILD A TEAM TO CARRY OUT THIS
17 RESEARCH AND TO SORT OF ENCOURAGE CLINICIANS TO HAVE AN
18 INTEREST IN THIS RESEARCH.

19 NO. 4, IN THE CIRM GRANT APPLICATION, IF THE
20 PROJECT INVOLVES OOCYTE RETRIEVAL, THE INVESTIGATOR
21 MUST EXPLAIN TO THE CIRM WHAT MEASURES ARE TAKEN TO
22 MINIMIZE THE RISKS TO OOCYTE DONORS.

23 AND THEN IN THE STATEMENT OF REASONS, NOT IN
24 THE REGS, BUT IN THE PREAMBLE, THAT WE ENCOURAGE THE
25 DEVELOPMENT AND ADOPTION OF BEST PRACTICES, AND

1 MEASURES MIGHT INCLUDE A TRAINING SESSION FOR
2 PHYSICIANS DOING OOCYTE RETRIEVAL FOR RESEARCH
3 PURPOSES, AND TO LEARN ABOUT AND INCORPORATE BEST
4 PRACTICES FROM EUROPEAN CENTERS THAT HAVE MINIMIZED THE
5 NUMBER OF OOCYTES RETRIEVED AND EMBRYOS TRANSFERRED.

6 MR. SHESTACK: COULD YOU JUST WALK ME THROUGH
7 THE MIDDLE PORTION? IF EGG RETRIEVAL IS REQUIRED, THE
8 CIRM-FUNDED RESEARCHER MUST DO WHAT EXACTLY?

9 CO-CHAIR LO: MUST IN THE GRANT APPLICATION
10 TO CIRM EXPLAIN THE STEPS THEY'RE GOING TO TAKE TO
11 MINIMIZE RISKS TO THE DONOR.

12 MR. SHESTACK: WHICH MEANS THAT THEY WOULD
13 REALLY ONLY KNOW THIS IF THEY HAD A REAL RELATIONSHIP
14 WITH WHOMEVER WAS DOING THE EGG RETRIEVAL? THEY --

15 CO-CHAIR LO: THEY'D HAVE TO HAVE SOME
16 UNDERSTANDING HOW THEY WERE GOING TO DO THAT.

17 MR. SHESTACK: SO THEY WOULD HAVE TO KNOW IN
18 ADVANCE IN ORDER TO BE FUNDED WHERE THEY WERE GOING TO
19 GET EGGS, THE AVAILABILITY OF EGGS, AND HAVE A
20 RELATIONSHIP WITH THAT PERSON WHO WOULD PROBABLY BE IN
21 THEIR SAME INSTITUTION. BUT YOU ASKED BEFOREHAND THAT
22 THOSE RELATIONSHIPS BE SOMEWHAT AT ARM'S LENGTH.

23 CO-CHAIR LO: YOU PUT YOUR FINGER ON THE
24 DILEMMA, RIGHT. WE WANT THEM TO HAVE SOME RELATIONSHIP
25 SO THAT THE OOCYTE -- THERE'S SOME ASSURANCE THE OOCYTE

1 DONORS ARE EXPERIENCED, THEY'RE SKILLED; BUT ON THE
2 OTHER HAND, YOU DON'T WANT THE PRINCIPAL INVESTIGATOR
3 SO INVOLVED THAT THERE'S UNCONSCIOUS OR CONSCIOUS
4 PRESSURE TO SORT OF RETRIEVE A NUMBER OF OOCYTES THAT
5 MAY PLACE THE DONOR AT RISK. WE'RE TRYING TO HAVE THAT
6 NOT TOO CLOSE, NOT TOO FAR.

7 MR. SHESTACK: SEEMS KIND OF CAMEL-ISH.
8 SEEMS KIND OF LIKE A CAMEL. IS THIS REALLY THE -- FOR
9 PEOPLE WHO WORK IN THIS FIELD, IS THIS -- AND INTERACT
10 WITH ALL THE DIFFERENT PARTIES EVERY DAY, IS THIS
11 REALLY THE BEST WE CAN DO? I'M SORRY TO COME IN LATE
12 AND BE A DOPE ABOUT IT.

13 DR. PRIETO: MAYBE ROB CAN ANSWER THIS
14 BETTER, BUT IS IT REALLY SO DIFFICULT TO DESCRIBE WHAT
15 YOU ARE GOING TO DO TO ENSURE SAFETY FOR THE DONOR?
16 AND IF YOU ARE FAMILIAR WITH THE PROCEDURES AND YOU'VE
17 BEEN IN THE FIELD AND KNOW WHAT THEY ARE, DO YOU REALLY
18 NEED TO KNOW THE SPECIFIC INDIVIDUALS WHO ARE GOING TO
19 BE DOING IT TO DESCRIBE THIS?

20 DR. TAYLOR: ACTUALLY I THINK THAT THIS SORT
21 OF PROVIDES AN OPPORTUNITY FOR A DISCUSSION BETWEEN THE
22 SORT OF SCIENTIFIC SIDE AND CLINICAL SIDE TO COME TO
23 SOME AGREEMENT. SO I ACTUALLY THINK THAT THIS
24 REGULATION ACTUALLY ENFORCES PART OF THAT COLLABORATIVE
25 DISCUSSION THAT I THINK THAT WOULD BE BENEFICIAL.

1 I GUESS AN INVESTIGATOR -- WHEN I LEAVE HERE
2 TODAY, I GET TO GO TO BETHESDA TO REVIEW GRANTS, SO I
3 READ A LOT OF GRANTS WHERE PEOPLE PROPOSE THINGS THAT
4 THEY'RE GOING TO DO THAT IS FAIRLY CLEAR THAT ONLY
5 OCCASIONALLY THEY ACTUALLY HAVE COMPLETELY CLEAR
6 UNDERSTANDING OF WHAT'S GOING TO HAPPEN. I THINK IN A
7 SITUATION LIKE THIS, YOU REALLY WANT TO HAVE THAT
8 DISCUSSION. SO I THINK IT'S ACTUALLY SOMEWHAT
9 INAPPROPRIATE FOR A PRINCIPAL INVESTIGATOR COMPLETELY
10 LABORATORY BASED TO WRITE AN APPLICATION THAT'S GOING
11 TO EXPLAIN IN A SORT OF FORMULAIC WAY HOW PATIENTS ARE
12 GOING -- OR SUBJECTS ARE GOING TO BE PROTECTED.

13 MR. SHESTACK: TO BE KIND OF REALLY CONCRETE
14 ABOUT IT, HOW DOES ANYBODY GET EGGS? THE INVESTIGATOR
15 WHO IS AN ACADEMIC INVESTIGATOR PUTS OUT A CALL OR
16 REQUEST TO WHOM? I'M JUST TRYING TO TRACK THE PROCESS
17 BY WHICH SOMEONE ACTUALLY FINDS OUT, OH, THEY NEED AN
18 EGG DONOR. I THINK I WILL DO IT. IT ACTUALLY STARTS
19 WITH THE RELATIONSHIP BETWEEN THE DONOR AND ACADEMIC
20 INVESTIGATOR, DOESN'T IT, UNLESS THERE ARE PEOPLE WHO
21 ARE OUT THERE AS THEIR BUSINESS COLLECTING EGGS, WHICH
22 THERE AREN'T, WE'RE SAYING; OR IF THERE ARE, WE DON'T
23 WANT TO SPONSOR THAT.

24 DR. TAYLOR: I GUESS I WOULD SAY IF THERE'S A
25 BUSINESS OF COLLECTING EGGS, IT'S THE CLINICAL IVF

1 PRACTICE. THOSE ARE THE FOLKS WHO HAVE THE EXPERIENCE
2 DOING THAT. AND I WOULD SAY THAT A LABORATORY THAT'S
3 SOMEWHAT ISOLATED FROM THAT GROUP INITIALLY WOULD NEED
4 TO FORGE A RELATIONSHIP, I THINK, BEFORE THEY SUBMIT A
5 PROPOSAL THAT WOULD INCLUDE SORT OF PRECISELY HOW
6 THEY'RE GOING TO SORT OF MANAGE THE PATIENT FLOW AND
7 WHO'S GOING TO BE RESPONSIBLE FOR WHAT ASPECT OF IT.
8 CERTAINLY THERE ARE GOING TO BE SOME PROGRAMS THAT ARE
9 INTRAINSTITUTIONAL, BUT I DON'T BELIEVE THAT -- AND IF
10 THERE'S A BIOTECHNOLOGY COMPONENT, THEY WOULD NEED TO
11 PARTNER WITH A CLINICAL FACILITY, BUT I THINK THOSE
12 RELATIONSHIPS EXIST FOR PROCUREMENT OF HUMAN TISSUES
13 AND CELLS IN OTHER WAYS THAT ARE SORT OF OUTSIDE THE
14 OOCYTE, GAMETE.

15 DR. KIESSLING: YOUR CONCERN IS HAVING TO
16 FORM A RELATIONSHIP BEFORE?

17 MR. SHESTACK: I'M JUST WORRIED THAT WE'RE
18 ASKING -- WE'RE SAYING SOMETHING BOGUS. THAT'S ALL.
19 I'M JUST TRYING TO ACTUALLY IMAGINE WHAT IS -- HOW DO
20 PEOPLE -- FOR ALL MY TIME ON CIRM, THE ONE THING I
21 NEVER QUITE UNDERSTOOD IS HOW SCIENTISTS GET EGGS, HOW
22 THEY RECRUIT DONORS, WHAT THE DEMAND IS FOR OOCYTE
23 DONORS OUTSIDE OF ALREADY DISCARDED FERTILIZED EGGS IN
24 AN IVF CLINIC. I'M TRYING TO FIGURE OUT ARE WE SAYING
25 SOMETHING THAT'S ACTUALLY SORT OF UNNECESSARY OR

1 PRACTICAL AND CAN BE FOLLOWED THAT WILL HELP BOTH
2 RESEARCHERS GET OOCYTES AND PROTECT DONORS BECAUSE
3 THERE'S BOTH THINGS YOU WANT TO DO?

4 CO-CHAIR LO: I THINK JON POSED IT -- THE WAY
5 HE POSED IT AT THE VERY END, I THINK, IS VERY MUCH ON
6 POINT. WE TALKED ABOUT IT AT SOME LENGTH. I THINK,
7 ANN AND ROB, SINCE YOU ARE THE ONES WITH THE MOST
8 EXPERIENCE IN THIS, IF YOU COULD JUST SAY TO JON, NO,
9 THIS IS WORKABLE AND DOABLE AS OPPOSED TO OFF TARGET
10 AND IMPRACTICAL AND -- WHAT WAS THE TERM JON USED? --
11 BOGUS. JON NEEDS TO HEAR FROM YOU WHO HAVE THE
12 EXPERIENCE.

13 DR. KIESSLING: SO THE SPECIFIC QUESTION IS
14 IS IT APPROPRIATE TO ASSUME THAT A SCIENTIST IS GOING
15 TO BE ABLE TO PREESTABLISH A RELATIONSHIP?

16 CO-CHAIR LO: NO. NO. NO.

17 MR. SHESTACK: THAT'S PART OF IT. HOW DOES
18 IT ACTUALLY WORK SO THAT THIS IS THEREFORE PRACTICAL?

19 DR. KIESSLING: THAT IS WORKABLE. FROM
20 EVERYTHING THAT A SCIENTIST DOES WITH CLINICAL TISSUES,
21 IT STARTS WITH ESTABLISHING A SOURCE. WE DO THAT EVERY
22 DAY. IT'S WHAT WE DO AND HOW WE DO IT, AND THAT'S
23 DEFINITELY WORKABLE. YOU HAVE TO FIND A CLINICAL
24 SOURCE IF YOU ARE DOING CLINICAL RESEARCH.

25 CO-CHAIR LO: QUESTION ABOUT WHETHER THIS IS,

1 ON THE ONE HAND, FEASIBLE AND, ON THE OTHER HAND,
2 REALLY BENEFICIAL AND PROTECTIVE.

3 MR. SHESTACK: RIGHT.

4 CO-CHAIR LO: CAN YOU ANSWER THAT QUESTION AS
5 WELL?

6 DR. KIESSLING: I THINK THE WAY THAT ROB HAS
7 OUTLINED THAT IT SHOULD WORK IS GOING TO PROTECT THE
8 DONOR. AND ROB'S SUGGESTION IS THAT THERE BE STRONG
9 LANGUAGE TO INDICATE THAT THERE'S A SEPARATION OF THE
10 PERSON TAKING CARE OF THE DONOR AND THE PRINCIPAL
11 INVESTIGATOR ON THE GRANT, IF AT ALL POSSIBLE.

12 DR. TAYLOR: I THINK ABSENT THAT, IT'S QUITE
13 DIFFICULT. I THINK IT'S VERY HARD FOR SOMEBODY WHO
14 DOESN'T HAVE A RELATIONSHIP WITH THE CLINICAL PROGRAM
15 TO GO THROUGH THE YELLOW PAGES AND FIND EGG DONORS. SO
16 YOU ACTUALLY -- I THINK A PRIORI THAT RELATIONSHIP
17 ALMOST NEEDS TO BE ESTABLISHED TO FACILITATE THIS
18 MOVING FORWARD.

19 CO-CHAIR LO: ROB, AGAIN, TO ANSWER JON'S
20 LAST QUESTION, ARE THESE RECOMMENDATIONS IN YOUR VIEW
21 FEASIBLE AND BENEFICIAL?

22 DR. TAYLOR: YEAH, DEFINITELY.

23 DR. PRIETO: IS THIS SOMETHING THAT SHOULD BE
24 FLESHED OUT IN BEST PRACTICES GUIDELINES OF THE SORT
25 THAT ALTA WAS TALKING ABOUT?

1 CO-CHAIR LO: SURE. AGAIN, THESE ARE
2 REGULATIONS THAT CAN THEN BE EXPANDED UPON THROUGH
3 OTHER MEANS, BUT IN TERMS OF REGULATIONS, I DON'T THINK
4 WE WANT TO GET TOO...

5 IF SOMEONE WOULD LIKE TO -- I WOULD LIKE TO
6 ENTERTAIN A MOTION THAT WE AGREE ON THOSE THREE
7 PROVISIONS -- FOUR PROVISIONS PLUS THE RECOMMENDATION
8 FOR BEST PRACTICES IN THE STATEMENT OF REASONS.

9 DR. TAYLOR: SO MOVED.

10 MS. CHARO: SECOND.

11 CO-CHAIR LO: ALL THOSE IN FAVOR SO SIGNIFY.
12 THAT'S UNANIMOUS. THANK YOU.

13 OKAY. CAN WE BACK NOW TO 100009 ON PAGE 9.

14 MR. TOCHER: JUST FOR THE RECORD, IT WAS A
15 UNANIMOUS VOTE.

16 CO-CHAIR LO: THE PROVISION ON PAGE 9 ON
17 FAIRNESS AND DIVERSITY, WHICH WE WERE TALKING ABOUT THE
18 QUORUM, AND I WANT TO SORT OF COME BACK TO THAT. ALTA
19 HAD RAISED SOME CONCERNS ABOUT WHETHER THIS IS
20 APPROPRIATE REGULATORY LANGUAGE, WHETHER IT NEEDS TO GO
21 IN THE PREAMBLE, THINGS LIKE THAT. AND I THINK I WOULD
22 LIKE TO DEFER -- MY SUGGESTION IS THAT WE DEFER THOSE
23 DECISIONS TO THE LEGAL COUNSEL, BUT THAT I'D LIKE TO
24 GET SOME SENSE OF WHETHER THIS EXPRESSES THE SENTIMENT
25 OF THE WORKING GROUP. I'M SORRY. WE VOTED ON IT.

1 THAT'S GREAT.

2 CO-CHAIR LANSING: REMEMBER WE ALREADY DID
3 THAT.

4 CO-CHAIR LO: 100010, RESEARCH TRACKING. AND
5 THIS IS SOMETHING WE TALKED ABOUT BEFORE, AND THERE ARE
6 NO CHANGES; IS THAT RIGHT, GEOFF, THAT I CAN SEE? JUST
7 WANT TO LOOK AT THAT FOR A MINUTE AND MAKE SURE WE'RE
8 COMFORTABLE WITH THAT.

9 DR. ROWLEY: I ASSUME THAT ITEM 1, CONDUCTED
10 BY THE INSTITUTION, HAS BEEN CORRECTED.

11 CO-CHAIR LO: YEAH. THIS NEEDS A GOOD SPELL
12 CHECK. THERE'S A LOT OF VERSIONS AND CUT AND PASTE.
13 THANK YOU.

14 THESE ARE REALLY TRACKING CIRM-FUNDED
15 RESEARCH SO THERE'S A RECORD OF WHAT'S DONE AND WHAT
16 STEM CELL LINES WERE DERIVED. IF THERE ARE NO
17 CONCERNS, QUESTIONS.

18 MS. FEIT: YESTERDAY THERE WAS SOME MENTION
19 BY SOME OF THE MEMBERS PRESENT ABOUT THE POSSIBILITY OF
20 AUDITS BEING PERFORMED. IS THAT SOMETHING THAT SHOULD
21 BE PLACED IN HERE?

22 CO-CHAIR LO: THERE'S AN AUDIT SECTION.

23 MR. LOMAX: THE ISSUE OF WHAT ENABLES THE
24 AUDIT IS THE INFORMATION SYSTEM WHICH IS BEING
25 DEVELOPED THROUGH THE GRANTS ADMINISTRATION TEAM TO

1 COMPILE SPECIFIC RECORDS, THINGS. IN OUR CASE IT WOULD
2 BE SPECIFIC TYPES OF ITEMS THAT THEY WOULD BE LOOKING
3 FOR IS SORT OF INDICATION OF A REVIEW AND APPROVAL BY
4 BOTH AN IRB AND OVERSIGHT COMMITTEE, FOR EXAMPLE.

5 SO WHAT WOULD ENABLE -- THE SYSTEM IS
6 INTENDED OR BEING DESIGNED TO CAPTURE THOSE TYPES OF
7 REVIEW AND APPROVAL. SO THEY'RE LOOKING THROUGH THIS
8 DOCUMENT AND SAYING WHAT ARE CONCRETE ITEMS THAT WE
9 COULD ASK TO RECEIVE FROM THE INSTITUTION TO DOCUMENT
10 COMPLIANCE? SO IT'S THE INTERACTION OF REVIEWS AND
11 APPROVALS THAT WE'VE STATED HERE IN THEIR INFORMATION
12 SYSTEM THAT WOULD THEN BE TRACKING THOSE TYPES OF
13 ACTIVITIES AND THOSE TYPES OF APPROVALS THAT WOULD
14 ENABLE AN AUDIT.

15 AND THEY ARE IN THEIR REGULATIONS WRITING OUT
16 ITEM BY ITEM THE TYPES OF THINGS THAT THEY WOULD
17 REQUIRE A GRANTEE TO REPORT BACK TO CIRM IN THEIR
18 REPORTS. AND THOSE REPORTS, WHETHER THEY BE ANNUAL,
19 QUARTERLY, DEPENDING ON HOW THEY'RE GOING TO STAGE
20 THOSE REPORTS. SO THAT'S WHERE THE AUDIT CAPACITY
21 COMES FROM. IT'S FROM THAT TRACKING THAT'S DONE
22 THROUGH THE GRANTS ADMINISTRATION POLICY.

23 CO-CHAIR LO: MARCY, THE QUESTION OF WHETHER
24 WE MOVE WHAT WE DECIDED YESTERDAY IN TERMS OF
25 SUPPORTING -- REQUIRING COMPLIANCE WITH ALL REGULATIONS

1 AND SUPPORTING -- INCLUDING THE COMPLIANCE WITH THE
2 GRANTS WORKING GROUP PROVISIONS FOR AUDITING AND
3 MONITORING, WHETHER THAT GOES WITH THIS SECTION OR IN A
4 DIFFERENT SECTION, WE'LL HAVE TO SORT THAT OUT. YES,
5 THAT ARE CONCEPTUALLY RELATED.

6 LET US THEN FORGE AHEAD, IF THERE'S NO
7 CONCERN. I'M SORRY. MEMBERS OF THE PUBLIC, PLEASE.

8 MR. REYNOLDS: THANKS FOR THE OPPORTUNITY TO
9 COMMENT. I'D LIKE TO ELABORATE ON SOMETHING THAT I
10 BROUGHT UP A MINUTE AGO ABOUT THE PROVISIONS THAT CAN
11 BE ADOPTED TO PREVENT MISUSE OF THE CLONAL BLASTOCYSTS
12 THAT RESULT FROM SCNT. ONE THING I MENTIONED WAS THE
13 GEOGRAPHIC SEPARATION OF THE EGG RETRIEVAL FACILITIES
14 AND THE BENCH WORK.

15 ANOTHER THING THAT I THINK NEEDS TO BE
16 ADOPTED IS UNDER THE RESEARCH REGISTRY COULD BE --
17 SHOULD BE AN INVENTORY OF THE CLONAL BLASTOCYSTS THAT
18 ARE CREATED AND THEIR EVENTUAL FATE. AND I THINK THIS
19 MIGHT HELP PREVENT ANY POTENTIAL MISUSE. AND I ALSO
20 ENCOURAGE YOU, TO THE EXTENT POSSIBLE, WITH KEEPING
21 INTELLECTUAL PROPERTY CONCERNS IN MIND, THAT THESE
22 RESEARCH REGISTRIES BE PUBLIC INFORMATION.

23 MR. SHEEHY: I THINK THAT'S PART OF THE
24 INTELLECTUAL PROPERTY.

25 CO-CHAIR LANSING: I THINK THE FILE SHARING

1 COVERS IT. MAYBE I'M WRONG.

2 MR. SHEEHY: THERE'S A WHOLE ANNUAL REPORT.

3 CO-CHAIR LO: SO IT IS PUBLIC.

4 CO-CHAIR LANSING: I THINK IT'S ALL PUBLIC IN
5 HIS REPORT. IT'S SORT OF LIKE NONE OF US EXIST IN A
6 VACUUM. I HAVE TO KEEP REFERRING BACK, AND I THINK THE
7 INTELLECTUAL PROPERTY WENT REALLY TO WHAT YOU ARE
8 TALKING ABOUT.

9 MR. REYNOLDS: RIGHT. I'M AWARE THAT THERE'S
10 COMPONENTS OF THE INTELLECTUAL PROPERTY REPORT THAT
11 WOULD BE PUBLIC. I'M REFERRING TO THE RESEARCH
12 REGISTRY HERE THAT IS DESCRIBED. WOULD THAT BE COVERED
13 BY THE --

14 CO-CHAIR LO: WHILE JEFF IS DOING THAT, DR.
15 ROWLEY.

16 DR. ROWLEY: IN THE MEANTIME I WANTED TO
17 POINT OUT THAT ANY LINES THAT WOULD ULTIMATELY BE USED
18 FOR PATIENT TREATMENT, THIS IS ALL REQUIRED BY THE FDA,
19 SO YOU HAVE TO HAVE THAT. NOT THAT IT'S NECESSARILY
20 PUBLIC, BUT THE TRACEABILITY AND THE PROVENANCE OF ALL
21 OF THIS HAS GOT TO BE CLEARED TO THE FDA IN THE EVENT
22 THAT THEY'RE USED FOR TREATMENT.

23 CO-CHAIR LO: WHILE JEFF IS LOOKING IT UP,
24 ANOTHER SPECIFIC SUGGESTION THAT WAS JUST MADE WAS THAT
25 WE INCLUDE AN ARTICLE 7 HERE, DOCUMENTATION OF -- I'M

1 NOT SURE WHAT TERM WE WANT TO USE -- THE PRODUCTS OF
2 ANY SCNT RESEARCH FUNDED BY CIRM, THAT THE -- I'M NOT
3 SURE WHAT TERM TO USE -- THAT A TRACKING OF THOSE BE
4 CARRIED OUT, SO YOU CAN ACCOUNT FOR EVERY ONE THAT WAS
5 PRODUCED. AND THAT WOULD BE ANOTHER GUARANTEE THAT
6 NONE OF THEM WENT BACKWARDS INTO THE REPRODUCTIVE. I
7 THINK THAT IS A SUGGESTION THAT WE SHOULD ADOPT.

8 I DON'T KNOW HOW OTHER PEOPLE FEEL ABOUT IT.

9 MR. SHEEHY: THERE'S A WHOLE SECTION ON
10 INVENTION REPORTING REQUIREMENTS IN HERE, LIKE A WHOLE
11 PAGE.

12 CO-CHAIR LO: THAT'S ONLY FOR INVENTIONS.

13 MR. SHEEHY: THAT'S ONLY FOR INVENTIONS.
14 THERE'S ALSO A REQUIREMENT FOR AN ANNUAL REPORT THAT
15 WOULD ALSO -- WE DON'T HAVE ANYBODY. ZACH WOULD KNOW
16 MORE ABOUT THIS FROM THE SPO POINT OF VIEW, BUT THERE'S
17 GOING TO BE AN ANNUAL REPORT THAT'S GOING TO BE FILED
18 BY GRANTEES THAT WILL BE TELLING THEIR PROGRESS ON THE
19 GRANTS.

20 CO-CHAIR LANSING: EVERYTHING THAT HAPPENED.

21 MR. SHEEHY: EVERYTHING THAT HAPPENS IS
22 PUBLIC.

23 CO-CHAIR LANSING: MAYBE WE NEED BOTH.

24 CO-CHAIR LO: IS THERE ANY PROBLEM WITH
25 REQUIRING THIS TRACKING IN THIS SECTION TO BE AVAILABLE

1 TO THE PUBLIC? I DON'T KNOW IF THERE'S A PROBLEM WITH
2 THAT. I THINK IN THE SPIRIT OF MAKING SURE THE PUBLIC
3 UNDERSTANDS WHAT'S GOING ON, IT DOESN'T HURT TO MAKE
4 SURE. IF IT'S REDUNDANT, THAT THEY ALREADY HAVE ACCESS
5 TO INFORMATION OTHER WAYS, THEN WE'RE JUST SAYING THE
6 SAME THING TWICE.

7 MR. REED: DAN PERRY OF CAMERA ONCE TALKED
8 ABOUT OVERREGULATING RESEARCHERS AS PUTTING BOXING
9 GLOVES ON PIANO PLAYERS. I THINK WE HAVE TO BE CAREFUL
10 WE DON'T HAVE TOO MANY REGULATIONS. I CAN SEE WHERE
11 TRYING TO TRACK EVERYTHING BACK AND BACK AND BACK AND
12 FORWARD, IF WE'RE REQUIRED TO DO THAT, I THINK WE COULD
13 BE HAVING SOMETHING THAT'S IMPOSSIBLE TO DO. I THINK
14 THERE COMES A CERTAIN POINT WHEN YOU HAVE TO SAY, ALL
15 RIGHT, HOWEVER SACRED THIS DERIVATION, THIS IS HUMAN
16 TISSUE, AND WE CAN'T KEEP TRACK ABOUT WHERE A DROP OF
17 BLOOD WHICH IS GIVEN BY A DONOR GOES. I THINK THERE
18 HAS TO BE AN OPENNESS IN THE SOURCE, BUT NOT ENDLESS
19 TRACKING.

20 CO-CHAIR LO: WELL, AGAIN, LET'S MAKE SURE
21 WHAT SECTION 100010 SAYS. IT'S SIX AND NOW SEVEN
22 SPECIFIC THINGS. AND AS DR. ROWLEY POINTED OUT, THESE
23 ARE THINGS THAT NEED TO BE KEPT TRACK OF FOR FDA
24 REQUIREMENTS IN THE EVENTUAL USE FOR TRANSPLANTATION.
25 SO IT'S NOT AN INDEFINITE FORWARD TRACKING. IT'S

1 REALLY HOW THOSE STEM CELLS WERE DERIVED AND THE
2 CONDITIONS UNDER WHICH THEY'VE BEEN MAINTAINED AND
3 STORED SO THAT THERE'S SOME ASSURANCE THEY'RE USABLE
4 FOR TRANSPLANTATION. A NEW RESEARCHER USING THEM HAS
5 SOME ASSURANCE AS TO WHAT'S GOING ON.

6 SO I'VE HEARD NOW TWO SUGGESTIONS. I'M GOING
7 TO ASK THE COMMITTEE TO -- ANY OTHER PUBLIC COMMENTS?
8 I'M GOING TO ASK THE COMMITTEE TO, FIRST, ADDING TO
9 THIS LIST 1 THROUGH 6 A SEVENTH PROVISIONS. WHAT'S OUR
10 PREFERRED TERM NOW FOR THINGS RESULTING FROM SCNT
11 RESEARCH FUNDED BY CIRM? TRACKING OF EGGS,
12 BLASTOCYSTS, AND PRODUCTS OF SCNT. SO THAT'S 1 THROUGH
13 7.

14 AND THEN I GUESS THERE WOULD BE A PROVISION
15 (B), THIS TRACKING INFORMATION SHALL BE MADE AVAILABLE
16 TO THE PUBLIC CONSISTENT WITH PROTECTION OF TRADE
17 SECRETS OR SOMETHING. THERE'S SOMETHING IN THERE ABOUT
18 INTELLECTUAL PROPERTY. LET'S ASK THE COMMITTEE THEIR
19 THOUGHTS ON THOSE TWO AMENDMENTS TO THE SECTION.

20 FIRST ON TRACKING OF PRODUCTS OF SCNT,
21 BLASTOCYSTS AND EMBRYOS PRODUCED BY RESEARCH. ANY
22 OBJECTION TO INCLUDING THAT? SOMEONE WANT TO MOVE WE
23 INCLUDE THAT?

24 DR. PRIETO: SO MOVED.

25 DR. ROWLEY: I SECOND.

1 CO-CHAIR LO: ALL IN FAVOR. ANY OPPOSED?
2 ANY ABSTENTION? UNANIMOUS.

3 AND THEN MAKING THIS TRACKING AVAILABLE TO
4 THE PUBLIC.

5 MS. CHARO: I HAVE SOME CONCERNS HERE ABOUT
6 OPERATIONALIZING THAT. FOR ONE THING, A LOT DEPENDS
7 UPON EXACTLY WHAT INFORMATION IS INCLUDED BECAUSE WE
8 HAVE HIPAA, WHICH HAS VERY PICKY, PICKY REQUIREMENTS
9 ABOUT MEDICAL PRIVACY.

10 NOW, AGAIN, THINKING CONSTANTLY ABOUT WHAT'S
11 APPROPRIATE FOR A REGULATION AS OPPOSED TO WHAT'S
12 APPROPRIATE FOR WHAT HAPPENS AFTER REGULATIONS ARE
13 ISSUED, WE HAVE ESCRO'S THAT ARE CHARGED WITH
14 MAINTAINING REGISTRIES OF WHAT'S GOING ON ON THE
15 INSTITUTION'S CAMPUS, RIGHT. AND IF IT IS SUMMARY
16 INFORMATION THAT DOES NOT PROVIDE ANY DETAILS ABOUT THE
17 INDIVIDUAL DONORS AND DOES NOT VIOLATE ANY TRADE
18 SECRETS OR OTHER CONFIDENTIALITY PROVISIONS THAT ARE
19 OPERATIONAL FOR THE BUSINESSES, THEN THE SUMMARY
20 RECORDS COULD EASILY BE MADE AVAILABLE TO THE PUBLIC AS
21 PART OF THE ESCRO'S FUNCTIONING.

22 CO-CHAIR LO: CONCERNS ABOUT MAKING PUBLIC
23 THINGS THAT MAY EITHER VIOLATE EITHER THE PRIVACY OF
24 DONORS OR TRADE SECRETS OR ACTUALLY ACADEMIC
25 INTELLECTUAL PROPERTY. HOW DO WE WANT TO BALANCE

1 PUBLIC ACCESS WITH PUTTING IN PLACE ALL THESE
2 PROTECTIONS, WHICH MAY BE MORE -- AGAIN, THIS IS NOW AT
3 THE INSTITUTIONAL LEVEL, NOT AT CIRM ITSELF.

4 DR. EGGAN: I GUESS IF THE CONCERN IS
5 SPECIFICALLY OVER MISAPPROPRIATION OF EMBRYOS CREATED
6 BY SCNT, I DON'T NECESSARILY SEE HOW THAT'S EMBROILED
7 WITH HIPAA. THERE WILL BE A CERTAIN NUMBER OF OOCYTES
8 WHICH ARE TRANSFERRED FROM THE CLINIC TO THE LAB.
9 THAT'S NOT IDENTIFYING INFORMATION. IT WILL BE
10 RECORDED BY BOTH GROUPS. AS LONG AS THERE IS
11 DOCUMENTED EVIDENCE FOR WHAT HAPPENED TO THOSE OOCYTES
12 AND ANY RESULTING EMBRYOS THAT WERE MADE, I GUESS I
13 DON'T SEE HOW THAT'S REALLY A PROBLEM.

14 CO-CHAIR LO: IT'S NOT DONE ON AN INDIVIDUAL
15 PATIENT LEVEL. A SUMMARY, X NUMBER WERE PRODUCED.

16 DR. EGGAN: THERE WILL BE A REPORT BY THE
17 CLINIC WHICH HAS X NUMBER WERE PRODUCED AND TRANSFERRED
18 TO THE LAB. NOW -- YOU KNOW, IT'S GETTING INTO THE
19 NITTY-GRITTY, BUT IT SHOULD BE POSSIBLE TO COUNT THAT
20 NUMBER OF OOCYTES THAT ARE TRANSFERRED, AND A RECORD IS
21 KEPT AT THE CLINIC, THERE WILL BE RECORDS KEPT AT THE
22 LAB. AS LONG AS THERE'S BASICALLY SOME SORT OF
23 GEOGRAPHIC EVIDENCE OF WHAT HAPPENED TO THAT THING,
24 THEN I FEEL LIKE THAT SHOULD BE ENOUGH. CAREFULLY
25 REPORTING.

1 AGAIN, I FEEL, AS ALTA DOES, THAT I'M A
2 LITTLE BIT SENSITIVE ABOUT PRESCRIBING EXACTLY WHAT
3 THAT IS AND WHEN THAT SHOULD BE, BUT I FEEL LIKE IT
4 WOULD BE POSSIBLE AND SEEMS REASONABLE, MUCH MORE
5 REASONABLE THAN, FOR INSTANCE, STATING THERE NEEDS TO
6 BE A SEPARATION BETWEEN THE LAB AND THE CLINIC, WHICH
7 MIGHT BE PROBLEMATIC.

8 CO-CHAIR LO: IN TERMS OF PHYSICAL
9 SEPARATION. YEAH. GETTING YOUR SAMPLES.

10 DR. KIESSLING: THE AMERICAN ASSOCIATION OF
11 FOR THE ADVANCEMENT OF SCIENCE HAD A PANEL ON THIS. I
12 THINK THIS IS WHERE SOME OF THIS COMES FROM. ONE OF
13 THEIR RECOMMENDATIONS WAS THAT THE STEM CELL RESEARCH
14 LABORATORY AND THE CLINIC LOCATION FOR COLLECTING EGGS
15 BE GEOGRAPHICALLY SEPARATED. AND I THINK THAT WAS
16 BASICALLY DESIGNED TO SPEAK TO THE CONCERN ABOUT HUMAN
17 CLONING, THAT IF THE RESEARCH WAS DONE AWAY FROM THE
18 CLINIC, THERE WAS NO WAY THAT THE NUCLEAR TRANSPLANT
19 UNITS COULD GET PUT BACK INTO THE WOMAN.

20 I DON'T KNOW IF THAT'S REALISTIC OR NOT, BUT
21 I THINK IT'S THAT REPORT THAT HAS GENERATED SOME OF
22 THIS SENTIMENT.

23 DR. EGGAN: I'M SORRY, BUT I HAVE TO
24 INTERJECT THAT I FIND THIS ABSOLUTELY RIDICULOUS. AND
25 THE REASON I FIND THIS ABSOLUTELY RIDICULOUS IS THE

1 FOLLOWING. AND THAT IS, IF A PERSON WANTS TO
2 REPRODUCTIVELY CLONE, THEN THEY CAN DO THAT REGARDLESS
3 OF WHETHER OR NOT THERE'S PHYSICAL SEPARATION BETWEEN
4 THE LABORATORY AND THE CLINIC OR NOT. BECAUSE WE HAVE
5 PORTABLE INCUBATORS THAT, FOR INSTANCE, WE USE TO
6 TRANSFER --

7 DR. KIESSLING: SURE, KEVIN.

8 DR. EGGAN: ANN, LET ME FINISH. AND BECAUSE
9 IT'S NOT LIKE A SUBTLE THING TO BRING A WOMAN IN FOR
10 OOCYTE -- FOR EMBRYO TRANSFER. SO THERE IS PREPARATION
11 REQUIRED TO DO EMBRYO TRANSFER. IT'S NOT AS IF, OH,
12 YOU KNOW, WE'VE GOT A WOMAN HANGING AROUND WE COULD
13 JUST SQUIRT SOME SOMATIC CELL NUCLEAR TRANSPLANTATION
14 EMBRYOS INTO TO SEE IF WE CAN MAKE A CLONED CHILD.
15 THIS WOULD BE A PREMEDITATED ATTEMPT, AND IT WOULD
16 BREAK CALIFORNIA LAWS. AND THAT SORT OF PREMEDITATED
17 ATTEMPT CAN OCCUR WHETHER OR NOT THERE'S SEPARATION
18 BETWEEN THE LAB AND THE CLINIC.

19 DR. KIESSLING: I'M NOT SPEAKING TO WHETHER
20 IT'S APPROPRIATE OR NOT. I'M JUST SPEAKING TO THE FACT
21 THAT THERE'S A REPORT OUT THERE BY THE AAAS THAT THIS
22 IS HOW IT SHOULD BE DONE. AND I THINK THAT REPORT IS
23 WHAT'S GENERATED SOME OF THIS CONCERN.

24 I PERFECTLY AGREE WITH YOU, KEVIN. OBVIOUSLY
25 IF YOU WANT TO DO THIS, YOU'RE GOING TO DO IT. AND IF

1 YOU DON'T WANT TO DO IT, YOU'RE NOT GOING TO DO IT.
2 THERE'S THIS REPORT THAT EXISTS.

3 DR. PRIETO: I HAVE TO AGREE WITH KEVIN, THAT
4 IF SOMEONE IS DETERMINED TO DO THIS IN VIOLATION OF
5 CALIFORNIA LAW AND THE CALIFORNIA CONSTITUTION, THE
6 PHYSICAL SEPARATION OF 25 OR 50 OR A HUNDRED MILES IS
7 GOING TO MEAN NOTHING.

8 DR. ROWLEY: I'D LIKE TO BRING THIS BACK TO
9 THE REAL WORLD ALSO IN THAT IT SEEMS TO ME THE THING
10 THAT ONE REALLY WANTS TO KEEP CLOSE TRACK OF ARE THOSE
11 EFFORTS IN THE RESEARCH LABORATORY THAT ACTUALLY APPEAR
12 TO BE SUCCESSFUL IN DEVELOPING NOT ONLY A BLASTOCYST,
13 BUT CELL LINES FROM THE BLASTOCYST. WHEN WE TALK ABOUT
14 SETTING UP A CELL BANK, IT'S THAT MATERIAL THAT WILL
15 ULTIMATELY BE USEFUL.

16 WHAT'S CLEAR FROM THE KOREAN EXPERIENCE IS
17 THAT YOU HAVE 2,000 EGGS AND NOTHING TO SHOW FOR IT.
18 SO I THINK IT'S TRUE YOU WANT TO TRACK THAT YOU GOT TEN
19 EGGS FROM HERE AND 12 FROM THERE AND SUCH-AND-SUCH A
20 DAY AND YOU DID THUS AND SO, AND THESE ARE THE RESULTS.
21 BUT AT THE END OF THE DAY, THE THINGS THAT SHOULD BE
22 REGISTERED ARE THE SUCCESSES, IF YOU WILL; NAMELY, THE
23 EMBRYONIC STEM CELL LINES, WHETHER FROM SCNT OR JUST
24 EMBRYO CULTURE, THAT ARE AVAILABLE.

25 CO-CHAIR LO: ...SUPPORTS THE IDEA OF

1 GEOGRAPHICAL SEPARATION IN TERMS OF NOT ADDING
2 PROTECTIONS BEYOND WHAT WE HAVE.

3 TO COME BACK TO THE OTHER SUGGESTION ABOUT
4 MAKING THIS PUBLIC, MY SUGGESTION IS THAT WE GO AND --
5 I THINK IN PRINCIPLE WE AGREE THAT INFORMATION SHOULD
6 BE MADE AVAILABLE TO THE PUBLIC REGARDING WHAT CIRM
7 RESEARCH FUNDING HAS PRODUCED. AND I THINK WE NEED TO
8 TALK WITH THE GRANTS MANAGEMENT WORKING GROUP AS TO
9 WHAT THEY ARE REQUIRED TO REPORT BACK TO CIRM. I THINK
10 CIRM CAN CERTAINLY TAKE THE INFORMATION THAT'S REPORTED
11 BACK TO THEM AND MAKE THAT AVAILABLE TO THE PUBLIC IN
12 TERMS OF SUMMARY STATISTICS, AS KEVIN SUGGESTED, TO
13 REASSURE THE PUBLIC THAT ALL THE OOCYTES RETRIEVED WERE
14 ACCOUNTED FOR IN A RESPONSIBLE MANNER WITHOUT
15 COMPROMISING PATIENT IDENTITY AND PRIVACY.

16 WITHOUT KNOWING SPECIFICALLY WHAT PROVISIONS
17 ARE IN PLACE FOR REPORTING UNDER THE GRANTS WORKING
18 GROUP, IT'S HARD FOR US TO CRAFT SOMETHING THAT'S NOT
19 CONSISTENT WITH THAT. THEY'VE PUT A LOT OF EFFORT AND
20 TIME SORT OF FIGURING THAT OUT.

21 DR. TAYLOR: I THINK, JUST TO REITERATE WHAT
22 JANET SAID, IT'S REALLY IMPORTANT THAT THAT REPORTING
23 TO THE PUBLIC NOT COMPROMISE HIPAA PROTECTED
24 INFORMATION. THAT'S GOING TO BE REALLY IMPORTANT. IN
25 THOSE FEW CELLS THAT GO FORWARD, WE WANT TO TRACK THOSE

1 INDIVIDUALS. WE DON'T WANT TO LOSE TRACK OF THEM. I
2 JUST WANT TO MAKE SURE THAT OUR PUBLIC REPORTING
3 PROCESS DOESN'T COMPROMISE THE ABILITY TO FIND OUT FIVE
4 YEARS DOWN THE LINE THAT SOMEBODY DEVELOPED PARKINSON'S
5 DISEASE WHO ACTUALLY WAS A DONOR THAT MIGHT REALLY
6 CHANGE THE WAY WE COULD THERAPEUTICALLY USE THOSE
7 CELLS.

8 CO-CHAIR LO: MY UNDERSTANDING IS THAT THE,
9 KEVIN'S SUGGESTION, THAT WOULD BE DEEMED AN AGGREGATE
10 FORM THAT'S REALLY ACCOUNTING FOR A NUMBER OF OOCYTES
11 AND SORT OF GIVING A SUMMARY REPORT HOW MANY FAILED TO
12 HAVE ANYTHING HAPPEN AND WERE DISCARDED, HOW MANY WERE
13 USED TO CREATE EMBRYONIC STEM CELL LINES THAT ARE NOW
14 ONGOING, BUT NOT TO IDENTIFY THE DONORS AT ALL.

15 DR. EGGAN: THAT'S RIGHT.

16 CO-CHAIR LO: I THINK THAT WOULD BE A GRAVE
17 VIOLATION OF PRIVACY.

18 DR. EGGAN: THERE MAY BE -- SO THE OOCYTE
19 DONORS, THAT'S SORT OF IRRELEVANT BECAUSE THE GENETIC
20 MATERIAL IS GOING TO BE REMOVED FROM THE EGG. THIS IS
21 CERTAINLY A GREAT CONCERN AND THERE SHOULD BE SOME
22 THINKING ABOUT SORT OF -- THESE CONCERNS ABOUT SOMATIC
23 CELL DONORS ARE IMPORTANT, AND THERE CERTAINLY NEEDS TO
24 BE SOME TRACKING OF THIS MATERIAL AS WELL. CERTAINLY
25 NOT ON THE SAME LEVEL AS WE'RE CONSIDERING FOR THE

1 OOCYTE DONORS. AND, AGAIN, THIS SORT OF INFORMATION, I
2 DON'T SEE HOW ANY HOW IDENTIFYING INFORMATION WOULD
3 NEED TO BE INCLUDED HERE. IN FACT, IN GENERAL, IT'S
4 HARD FOR ME TO IMAGINE, EXCEPT FOR UNDER VERY SPECIFIC
5 CIRCUMSTANCES, WHY YOU NEED TO RECONTACT THESE WOMEN
6 LATER.

7 DR. PRIETO: I THINK I CAN FORESEE HOW YOU
8 MIGHT -- I MEAN THE IDENTITY --

9 CO-CHAIR LO: LET'S TRY AND FOCUS ON THIS
10 PARTICULAR. I'M A LITTLE CONCERNED ABOUT SORT OF OUR
11 GETTING INTO TOPICS THAT REALLY AREN'T DIRECTLY ON
12 TARGET. I THINK JANET'S POINTED OUT THAT THERE ARE
13 TRACKING REQUIREMENTS THAT THE FDA PUTS IN PLACE IF
14 YOU'RE GOING TO USE THIS THERAPEUTICALLY. I THINK ANY
15 RESEARCHER, SINCE THAT'S THE GOAL, IS GOING TO KEEP
16 VERY METICULOUS RECORDS AS WILL THE INSTITUTION, AND IT
17 WILL INCLUDE SOME SORT OF LOOK-BACK. THAT'S GOT TO BE
18 DONE WITH THE UTMOST RESPECT FOR PRIVACY AND
19 CONFIDENTIALITY.

20 WHAT WE NOW IMPOSE UNDER 100010 IS A
21 REQUIREMENT THAT INSTITUTIONS, EACH INSTITUTION TRACK
22 CIRM-FUNDED RESEARCH ACTIVITIES. AND THEN I THINK WHAT
23 WE NEED TO WORK OUT IS WHAT THEY NEED TO REPORT UNDER
24 THE GRANTS WORKING GROUP REGULATIONS BACK TO CIRM AND
25 HOW THAT INFORMATION CAN BE MADE PUBLIC IN A WAY THAT

1 DOESN'T VIOLATE PRIVACY AND CONFIDENTIALITY OF THE
2 DONORS AND DOESN'T VIOLATE TRADE SECRETS AND POTENTIAL
3 INTELLECTUAL PROPERTY OF THE INVESTIGATORS. BUT
4 CONSISTENT WITH THAT, I THOUGHT OUR SPIRIT WAS WE DO
5 WANT TO MAKE THAT AGGREGATE INFORMATION PUBLIC TO MAKE
6 SURE THE PUBLIC UNDERSTANDS WHAT HAPPENS TO ALL THESE
7 MATERIALS THAT WERE DONATED FOR RESEARCH.

8 DR. ROWLEY: THIS WAS AN ISSUE THAT WE SPENT
9 A LOT OF TIME ON IN THE NATIONAL ACADEMY WORKING GROUP.
10 AND IT'S MY RECOLLECTION, AND ALTA CAN ADD TO THIS,
11 THAT THIS WAS ONE OF THE IMPORTANT FUNCTIONS OF THE
12 ESCRO COMMITTEE SO THAT THEY HAVE ONE BODY, AND THIS
13 WAS MODELED ON THE UNITED KINGDOM, HFEA, THAT THERE IS
14 ONE OFFICE THAT LOOKS AT ALL OF THE CONSENT FORMS AND
15 SAYS, YES, EVERYTHING WAS DONE APPROPRIATELY, THE
16 DONORS WERE INFORMED, ETC., AND THEN THAT THEY
17 CERTIFIED TO THE INVESTIGATORS THAT THIS IS THE CASE.
18 AND WHEN THE INVESTIGATOR COMES BACK AND SAYS OOCYTE
19 NO. 120 ACTUALLY LED TO A CELL LINE, AND THEN THE CELL
20 LINE IS IDENTIFIED. THAT CAN BE TRACKED TO OOCYTE 120,
21 AND YOU HAVE ALL OF THE INFORMATION THAT WAS OBTAINED
22 WITH PROPER INFORMED CONSENT.

23 YOU SEE, THE WHOLE UNDERPINNING OF SHARING OF
24 CELL LINES IN CALIFORNIA AND ELSEWHERE IS THAT SOMEBODY
25 IS MINDING THE STORE, AND YOU DON'T NEED TO KNOW ANY OF

1 THAT INFORMATION ABOUT THE DONOR, BUT YOU NEED TO KNOW
2 THAT SOMEBODY DID DO DUE DILIGENCE.

3 CO-CHAIR LO: THIS IS WHY WE INCORPORATED
4 THOSE NAS GUIDELINES, WHICH ARE THE GROUNDS FOR THIS
5 SECTION. AS JANET SAID, THEY WERE WELL THOUGHT OUT.

6 I'M GOING TO MOVE AHEAD, THEN, TO 100011,
7 MATERIALS SHARING, AGAIN, JUST TO REMIND US THAT THIS
8 ATTEMPTS TO PUT INTO LANGUAGE WHAT WE AGREED ON, THAT
9 STEM CELL LINES AND BIOMEDICAL MATERIALS DEVELOPED WITH
10 CIRM FUNDING SHOULD BE BROADLY DISSEMINATED. AND
11 CIRM-FUNDED RESEARCH INSTITUTIONS SHALL COMPLY WITH THE
12 CIRM IP POLICY INTENDED TO ENSURE DATA AND MATERIALS
13 EXCHANGE. IT'S WHAT JEFF SHEEHY PRESENTED TO US
14 YESTERDAY. AND THEY'RE EXTENSIVE, DETAILED
15 REGULATIONS, AND WE'RE NOT GOING TO REPEAT THOSE, BUT
16 WE WANT TO JUST SIGNAL TO THE PUBLIC THAT THIS IS AN
17 INTERLOCKING SET OF RECOMMENDATIONS, AND THEY ARE
18 REALLY STATE-OF-THE-ART, OR BEYOND STATE-OF-THE-ART,
19 JEFF -- WHAT'S THE PHRASE YOU USED? -- PUSHING THE
20 ENVELOPE OF REALLY EXPANDING ACCESS TO OTHER
21 RESEARCHERS AND ACCESS TO THE PUBLIC OF PUBLICATIONS
22 AND RESULTS OF RESEARCH.

23 SO I JUST WANT TO SIGNAL HERE THAT THIS IS
24 SOMETHING THAT IS A RESPONSIBILITY OF CIRM-FUNDED
25 RESEARCHERS TO COMPLY WITH.

1 SO THE LANGUAGE, AGAIN, IS GOING TO NEED TO
2 BE CRAFTED TO MAKE SURE THAT IT PASSES ADMINISTRATIVE
3 LAW OFFICE MUSTER, AND THERE WILL BE CITATION TO THE
4 PROVISIONS THAT JEFF'S IP WORKING GROUP IS GOING TO
5 RECOMMEND TO THE ICOC.

6 PUBLIC COMMENTS ON THIS MATERIAL. STARTING
7 AGAIN, I WANT TO REMIND US, WE HAD THE SUBSTANCE OF
8 THIS PRESENTED YESTERDAY MORNING.

9 I WANT TO THEN GO BACK TO SOME THINGS WE
10 SKIPPED YESTERDAY. AND THAT'S BACK TO PAGE 3, PAGES 3,
11 4, AND PART OF 5 ABOUT THE STRUCTURE OF SCRO'S,
12 FORMERLY ESCRO'S. AND AT THE BOTTOM OF PAGE 3, 100005,
13 MEMBERSHIP AND FUNCTION, TURNING OVER TO THE NEXT PAGE,
14 AGAIN, THESE ARE THINGS WE TALKED ABOUT AT LENGTH IN
15 PREVIOUS MEETINGS. THERE'S ONLY SOME MINOR
16 EMENDATIONS.

17 THE FIRST SECTION HAS TO DO WITH THE
18 COMMITTEE MEMBERSHIP AND FUNCTION. AND JUST TO REFRESH
19 OURSELVES, WE ALLOW A LOT OF FLEXIBILITY TO SET UP, TO
20 COOPERATE ON A SCRO. IF CIRM WANTS TO SET ONE UP,
21 THAT'S EXPRESSLY PERMITTED.

22 SUBPART (A), MEMBERSHIP, WHAT WE'VE ADDED IS
23 AT LEAST ONE REPRESENTATIVE OF THE PUBLIC ON THAT SCRO
24 WHO IS NOT OTHERWISE AFFILIATED WITH THE RESEARCH
25 INSTITUTION, AGAIN, KEEPING IN THE SPIRIT OF OUR

1 WANTING TO KEEP THE PUBLIC VERY MUCH INVOLVED WITH THIS
2 ONGOING RESEARCH PROCESS.

3 AND THEN (B), WHICH HASN'T CHANGED AT ALL, IS
4 ON THE FUNCTION OPERATION. SO WHAT'S ADDED HERE FROM
5 BEFORE IS THE REPRESENTATIVE OF THE PUBLIC AS OPPOSED
6 TO -- A MEMBER OF THE PUBLIC IS WHAT THE NAS GUIDELINES
7 SAID. AND A COMMENTER SAID THAT PUBLIC IS VAGUE, AND
8 SOMEONE ELSE SAID LAY MEMBER. WE THOUGHT WHAT WE
9 REALLY WANT IS SOMEONE WHO'S NOT OTHERWISE PART OF THE
10 RESEARCH INSTITUTION.

11 THOUGHTS ON THAT EMENDATION?

12 MR. SHEEHY: IT SEEMS VAGUE. I DON'T KNOW IF
13 OTHER BODIES THAT HAVE PUBLIC REPRESENTATION MIGHT HAVE
14 A BETTER DEFINITION. I'M NOT UNHAPPY WITH THE
15 LANGUAGE.

16 CO-CHAIR LO: THIS IS TAKEN FROM THE COMMON
17 RULE. 45 CFR 46 REQUIRES SOMEONE WHO'S NOT OTHERWISE
18 AFFILIATED WITH THE RESEARCH INSTITUTION. THAT'S THEIR
19 DEFINITION OF NONAFFILIATED.

20 ANY PUBLIC COMMENT ON THIS PARTICULAR POINT?

21 MR. SIMPSON: I THINK IT IS A LITTLE BIT
22 VAGUE. THIS IS JOHN SIMPSON FROM THE FOUNDATION FOR
23 TAXPAYER AND CONSUMER RIGHTS. I GUESS WHAT WOULD
24 CONCERN ME, I UNDERSTAND WHY YOU WOULDN'T WANT SOMEONE
25 AFFILIATED WITH THE RESEARCH INSTITUTION, BUT SUPPOSE

1 YOU HAD SOMEONE WHO WAS THE PRESIDENT OF A BIOTECH
2 FIRM. I THINK THAT RAISES POTENTIAL CONFLICTS. MY
3 UNDERSTANDING OF REPRESENTATIVE OF THE PUBLIC WOULD BE
4 A LAYPERSON WHO IS NOT INVOLVED IN -- HAS FINANCIAL
5 INTEREST IN THE POSSIBLE RESULTS OF THE RESEARCH.

6 CO-CHAIR LO: SO THERE ARE TWO DIFFERENT
7 SUGGESTIONS. LET ME JUST MAKE SURE WE HAVE THEM IN
8 MIND. ONE IS THAT IT BE A NONSCIENTIST AND OTHERWISE
9 THAT THERE BE NO FINANCIAL CONFLICT OF INTEREST THAT
10 THIS REPRESENTATIVE HAS.

11 MR. SIMPSON: THAT WOULD BE IT, YEAH.

12 CO-CHAIR LO: JUST, AGAIN, TO REMIND
13 OURSELVES, THERE ARE ALSO UNDER THE COMMON RULE
14 PROVISIONS FOR LAY MEMBERS AS WELL AS SO-CALLED
15 COMMUNITY MEMBERS THAT MAY, IN FACT, BE THE SAME PERSON
16 ON IRB'S, SO THERE'S SOME PRECEDENT FOR THIS NOTION OF
17 NOT BEING A SCIENTIST.

18 MR. REYNOLDS: I WOULD RECOMMEND THAT YOU
19 CONSIDER A PROVISION REGARDING THE OVERALL COMPOSITION
20 OF THE SCRO COMMITTEE REGARDING TOTAL NUMBER OF
21 SCIENTISTS RELATIVE TO NONSCIENTISTS. I BELIEVE THE
22 ANALOGOUS COMMITTEE IN THE UNITED KINGDOM REQUIRES THAT
23 A MAJORITY OF THE BOARD ACTUALLY BE NONSCIENTISTS. I
24 THINK THAT THAT COULD BE AN ADMIRABLE TARGET OR
25 DIRECTION TO HEAD TOWARDS IN THE SENSE OF HAVING A

1 BALANCE OF PERSPECTIVES ON THE COMMITTEE.

2 CO-CHAIR LO: THANK YOU. SO COUPLE
3 SUGGESTIONS MADE. COMMITTEE MEMBERS, THOUGHTS,
4 COMMENTS, RESPONSES?

5 DR. PRIETO: I THINK THAT I HAVE SOME MIXED
6 FEELINGS ABOUT WHETHER WE SHOULD SPECIFY THAT IT BE A
7 LAYPERSON OR PERSONS. I'M JUST WONDERING ABOUT THE --
8 WHETHER A LAYPERSON WOULD HAVE SOME FAMILIARITY WITH
9 THE CONCEPTS AND THE ISSUES. AND I WOULDN'T WANT TO
10 SEE SOMEONE THERE WHO COULD BE SNOWED BY INFORMATION
11 AND NOT REALLY HAVE SOME GRASP OF THE ISSUES. I DO
12 THINK IT WOULD BE IMPORTANT, THOUGH, TO SPECIFY THAT
13 THAT PERSON OR PERSONS SHOULD NOT HAVE ANY CONFLICTS,
14 ANY EXISTING OR POTENTIAL FUTURE INTEREST IN THE
15 OUTCOME OF THE RESEARCH.

16 MS. CHARO: I'M GOING TO CHECK ON THE UK
17 BECAUSE I WAS NOT UNDER THE IMPRESSION THAT A MAJORITY
18 IS MADE UP OF LAYPEOPLE, BUT THE PROBLEM ALSO THE
19 COMPARABILITY OF THE KINDS OF COMMITTEES WE'RE TALKING
20 ABOUT SINCE THEY'VE GOT A LICENSING SCHEME DIFFERENT
21 FROM WHAT WE'RE TALKING ABOUT HERE. I JUST WANT TO
22 MAKE SURE THAT WE STAY FOCUSED ON THE PURPOSE OF THE
23 ESCRO COMMITTEE AND MATCH THE MEMBERSHIP TO THE
24 PURPOSE.

25 THE PURPOSE IS NOT TO REDEBATE THE ETHICS OF

1 STEM CELL RESEARCH. THE PURPOSE IS NOT TO BE TAKING
2 PRIMARY RESPONSIBILITY FOR THE PROTECTION OF HUMAN
3 SUBJECTS OF RESEARCH OR OF PEOPLE WHO DONATE MATERIALS
4 FOR RESEARCH WHICH IS IN THE PURVIEW OF THE IRB. IRB'S
5 PARAMETERS BEING DETERMINED BY THIS GROUP'S REGULATORY
6 DIRECTIONS.

7 THE REAL PRIMARY PURPOSES OF THE ESCRO ARE,
8 FIRST, AS JANET WAS EMPHASIZING BEFORE, TO MAINTAIN AN
9 OVERALL AWARENESS OF WHAT IS GOING ON IN TERMS OF
10 MATERIALS IN USE AND FORMS OF RESEARCH BEING PERFORMED
11 FOR THE SIMPLE PURPOSE OF EVERYBODY SIMPLY HAVING A WAY
12 TO KNOW.

13 SECOND, AND VERY IMPORTANTLY, TO WORK ON VERY
14 TECHNICAL ISSUES HAVING TO DO WITH, FOR EXAMPLE, SAFETY
15 EVALUATIONS IN THE CONTEXT OF SPECIFIC EXPERIMENTS THAT
16 ARE BEING PROPOSED. EXAMPLES BEING HUMAN, NONHUMAN
17 CELLULAR COMBINATIONS AT VARIOUS STAGES OF NONHUMAN
18 ANIMAL DEVELOPMENT WHERE IT IS VERY MUCH ABOUT KNOWING
19 WHAT IS ABSOLUTELY THE MOST CURRENT INFORMATION IN THE
20 LITERATURE ABOUT THE DEVELOPMENTAL BIOLOGY OF THESE
21 ORGANISMS AND WHAT IS KNOWN ABOUT THE DIFFERENTIATION
22 IN SITU OF STEM CELLS ONCE TRANSPLANTED OR TISSUES ONCE
23 IN GRAFTING.

24 SO I DO WANT TO MAKE SURE THAT WE FOCUS ON
25 MAKING SURE THAT THE ESCRO'S MEMBERSHIP IS CAPABLE OF

1 PERFORMING THOSE FUNCTIONS WHICH ARE THE CORE OF ITS
2 RESPONSIBILITIES. TO ME THAT SPEAKS TO A NEED TO HAVE
3 A VERY SUBSTANTIAL AMOUNT OF TECHNICAL EXPERTISE EITHER
4 PERMANENTLY PART OF IT OR AVAILABLE ON A CONSULTING
5 BASIS. I THINK THE LAY REPRESENTATION IS PART OF, I
6 AGREE, A KIND OF PUBLIC REASSURANCE THAT THIS IS NOT
7 GOING ON BEHIND CLOSED DOORS. BUT I DON'T BELIEVE THAT
8 NONTECHNICALLY TRAINED PEOPLE ARE IN A GOOD POSITION TO
9 EVALUATE THE SAFETY ISSUES ASSOCIATED WITH, FOR
10 EXAMPLE, TRANSPLANTING STEM CELLS OR DERIVED TISSUES
11 INTO NONHUMAN ANIMALS AT VARIOUS DEVELOPMENTAL STAGES.
12 I JUST DON'T THINK THAT'S A KIND OF EVALUATION THAT
13 SOMEBODY IS CAPABLE OF IF THEY DON'T HAVE ANY TRAINING.

14 DR. ROWLEY: I ALSO WANTED TO MAKE THE POINT
15 THAT CAME OUT IN EARLIER SESSIONS SOME TIME AGO, THAT
16 WE'RE ADDING ANOTHER LAYER OF REGULATION AND OVERSIGHT,
17 BUT THIS HAS TO BE DONE IN THE CONTEXT OF TRYING NOT TO
18 BE A MAJOR IMPEDIMENT IN THE SCIENCE THAT THIS WHOLE
19 PROPOSAL IS SUPPOSED TO BE ENCOURAGING. THEREFORE, YOU
20 MAY HAVE ESCRO'S MEETING MONTHLY ON SOME OF THESE
21 ISSUES. AND SO THE MORE UNWIELDY YOU GET IT IN TERMS
22 OF MANY, MANY MEMBERS NOT AFFILIATED WITH THE
23 INSTITUTION, THE MORE DIFFICULT IT IS FOR THEM TO COME
24 TO REGULAR MONTHLY MEETINGS OR SOMETHING OF THAT SORT.

25 DR. TAYLOR: I ABSOLUTELY AGREE WITH ALL OF

1 THIS. WE CAN'T UNDERESTIMATE THE IMPORTANCE. THE
2 ESCRO IS REALLY GOING TO BE THE WHOLE CRUX OF THE WHOLE
3 CIRM PROGRAM GOING FORWARD. THESE PEOPLE ARE GOING TO
4 HAVE TO CONSISTENT. THEY'RE GOING TO HAVE TO BE REALLY
5 COMMITTED. THERE'S, I THINK, GOING TO HAVE TO BE A LOT
6 OF FUNDING, FRANKLY, TO SUPPORT THE ESCRO'S BECAUSE
7 WHEN YOU THINK ABOUT THE OVERSIGHT AND THE TRACKING
8 RESPONSIBILITIES THAT WE'VE LAID OUT FOR THEM, IT
9 REALLY IS THE MOST ONEROUS PART OF THE REGULATION, I
10 THINK, THAT'S GOING ON. SO WE DEFINITELY DON'T WANT TO
11 UNDERESTIMATE THIS COMPONENT OF IT.

12 DR. EGGAN: I THINK WE SHOULDN'T LOSE SIGHT
13 OF THE FACT THAT THERE ARE LARGE SUMS OF MONEY AT STAKE
14 IN THESE INSTITUTIONS IN COMPLYING. SO THE FUNDING
15 ASPECT IS EXPLICIT. AND IT SEEMS -- I DON'T KNOW THAT
16 THERE'S SPECIAL FUNDS AVAILABLE FOR INSTITUTIONAL
17 REVIEW BOARDS, BUT MEDICAL SCHOOLS AND UNIVERSITIES
18 CERTAINLY HAVE THEM BECAUSE THEY MUST. I DON'T THINK
19 THIS SHOULD BE AN EXCEPTION.

20 I'M GOING TO SAY THIS AGAIN. I THINK THAT
21 THE REAL ONEROUS PORTION OF THIS IS GOING TO FALL TO
22 THE INVESTIGATORS THEMSELVES. FOR THEM, I THINK THERE
23 SHOULD BE A RECOGNITION THAT THERE NEEDS TO BE MONEY
24 BECAUSE I THINK IT'S VERY DIFFICULT FOR THE SCIENTISTS
25 TO GET THE SORT OF ADMINISTRATIVE SUPPORT THAT'S GOING

1 TO BE REQUIRED TO NAVIGATE THROUGH THESE THINGS.

2 MR. SHEEHY: WELL, FIRST, I THINK THERE
3 SHOULD BE TWO MEMBERS OF THE PUBLIC. WE SHOULDN'T HAVE
4 ONE PERSON HANGING OUT THERE BY THEMSELVES. I HAVE TO
5 SAY, BASED ON MY EXPERIENCE WITH HIV RESEARCH WHERE IT
6 IS ROUTINE TO HAVE COMMUNITY ADVISORY BOARDS, I DON'T
7 THINK THAT THIS -- IT'S A LITTLE BIT DEMEANING TO
8 SUGGEST THAT MEMBERS OF THE PUBLIC CANNOT MEANINGFULLY
9 PARTICIPATE IN THIS DISCUSSION WHEN I'VE SEEN EXAMPLES
10 IN HIV RESEARCH WHERE PEOPLE HAVE DRILLED DOWN FAIRLY
11 DEEPLY ON THIS. I THINK BERNIE HAS EXPERIENCE WITH
12 THIS.

13 THE STUPID PUBLIC ARGUMENT IS NOT A GOOD ONE.
14 AND I THINK -- I DO THINK -- I THINK WE KIND OF
15 DIMINISH THE VALUE OF HAVING A LAYPERSON IF WE DO MAKE
16 THAT THE ONLY PERSON WHO FULFILLS THAT ROLE. IT GETS
17 AWFULLY LONELY, I THINK IN, THOSE KINDS OF SCENARIOS.

18 DR. KIESSLING: I DON'T KNOW MANY HERE HAVE
19 ACTUALLY PARTICIPATED IN AN ESCRO MEETING, AND I HAVE,
20 EVEN THOUGH I'M OPPOSED TO THEM. AND ONE OF THE THINGS
21 THAT WAS CLEAR IS THAT WHAT'S GOING TO BE REQUIRED OF
22 THAT COMMITTEE MEMBER IS NOT NECESSARILY SOMEBODY WITH
23 EXPERTISE IN ASSISTED REPRODUCTION, BUT SOMEBODY WITH
24 EXPERTISE IN ANIMAL RESEARCH GUIDELINES BECAUSE MOST OF
25 THE RESEARCH THAT'S GOING TO COME BEFORE ESCRO'S, AT

1 LEAST IN THE SHORT TERM, ARE GOING TO INVOLVE ANIMALS,
2 STEM CELLS AND ANIMALS OF SOME KIND.

3 SO IF YOU ARE GOING TO REQUIRE CERTAIN
4 EXPERTISE ON IT, I DON'T -- THERE WAS NO PARTICULAR
5 ISSUES OF NEEDING EXPERTISE IN ASSISTED REPRODUCTION.
6 THAT'S GOING TO BE HANDLED BY THE IRB.

7 CO-CHAIR LO: SO YOU'RE SUGGESTING
8 ADDING EXPERTISE IN ANIMAL --

9 DR. KIESSLING: I DON'T THINK THAT THE SCRO
10 COMMITTEE NEEDS EXPERTISE IN ASSISTED REPRODUCTION.
11 THE DANGER YOU HAVE HERE IS DUPLICATING THE IRB.

12 DR. ROWLEY: IT WAS OUR ASSUMPTION AT THE
13 ACADEMY THAT THE ANIMAL CARE COMMITTEE WOULD BE
14 HANDLING ALL THE SPECIFIC THINGS RELATED TO ANIMAL CARE
15 SO THAT THAT'S NOT AN ISSUE FOR ESCRO.

16 DR. KIESSLING: IT ISN'T SO MUCH ANIMAL CARE
17 AS SORT OF ANIMAL RESEARCH, SORT OF CAN YOU REALLY
18 JUSTIFY PUTTING HUMAN STEM CELLS INTO A MOUSE BRAIN.
19 THERE NEEDS TO BE SOME KIND OF EXPERIENCE WITH THAT.
20 THERE NEEDS TO BE ALMOST NO HUMAN EXPERIENCE.

21 DR. ROWLEY: IACUC DOESN'T NECESSARILY HAVE
22 THAT KIND OF EXPERIENCE.

23 DR. KIESSLING: NO, THEY DON'T. THAT'S
24 RIGHT. SO THE SCRO COMMITTEE NEEDS THAT. THESE
25 PROGRAMS, LIKE THE PROJECT THAT I REVIEWED, IS GOING

1 TO -- HAS BEEN THROUGH IRB, AND IT'S BEEN THROUGH
2 IACUC. AND NOW IT'S COMING TO SERVE THE FUNCTIONS THAT
3 WE'VE TALKED ABOUT HERE, THAT YOU WANT TO HAVE AN
4 OVERSIGHT, SOMEBODY WHO'S KEEPING TRACK OF WHAT'S GOING
5 ON AND SOMEBODY WHO'S KEEPING TRACK OF THE STEM CELL
6 LINES THAT ARE BEING USED. BUT IN ORDER TO JUDGE IF
7 THIS IS AN EXTRAORDINARY EXPERIMENT OR AN ORDINARY
8 EXPERIMENT, YOU DON'T REALLY NEED ANY KIND OF HUMAN
9 EXPERIENCE. YOU NEED SOME KIND OF ANIMAL
10 EXPERIMENTATION EXPERIENCE.

11 DR. WAGNER: I'M NOT EXACTLY SURE IF I
12 COMPLETELY AGREE. I UNDERSTAND WHERE YOU'RE COMING
13 FROM. I THINK WHAT WE SAID AT LEAST YESTERDAY IN PART
14 OF OUR DISCUSSION WAS THAT IF YOU TAKE THE MODEL THAT
15 WE HAVE WITH THE NCI AND HAVING A REPORT REVIEW
16 COMMITTEE THAT IS SPECIFICALLY FOCUSED IN CANCER, PART
17 OF THE ROLE OR PRIMARY ROLE IS ACTUALLY TO LOOK AT THE
18 SCIENCE THAT'S UNIQUE TO THE FIELD THAT YOU ARE DEALING
19 WITH BECAUSE A LOT OF THESE TECHNOLOGIES ARE NOT
20 GENERALLY AVAILABLE IN AN IRB SETTING EVEN IF WE'RE
21 DEALING WITH HUMAN ISSUES.

22 AND SO WHAT THE IRB'S TYPICALLY WILL DO IN
23 TERMS OF THE CANCER CENTER MODEL, IF THAT'S USEFUL, IS
24 THEY USE THAT INFORMATION AS A CHECKPOINT TO SAY, YES,
25 THE SCIENCE IS INDEED ADEQUATE BECAUSE WE CAN'T ASSESS

1 THE SCIENCE. WE CAN ASSESS THE PROTECTION OF THE
2 PATIENT. BUT THEY CAN ONLY ASSESS THAT IN THE CONTEXT
3 OF THE SCIENCE AND WHETHER THE SCIENCE JUSTIFIES THE
4 WORK THAT'S BEING DONE.

5 I THINK THE ESCRO IS GOING TO PROVIDE THAT
6 ROLE, I THINK, OF OVERSEEING THE SCIENCE. AND EVEN FOR
7 ANIMAL STUDIES, AS YOU KNOW, WHAT WILL HAPPEN IS THAT,
8 FOR EXAMPLE, HOW MANY TIMES DO YOU DO AN EXPERIMENT
9 BEYOND WHICH YOU SAY THAT IT'S NOT GOING TO WORK. FOR
10 EXAMPLE, DO I KEEP ON TAKING OOCYTES OVER AND OVER AND
11 OVER AGAIN WHEN I KNOW AT SOME POINT IT'S NOT GOING TO
12 WORK? WHY PUT PEOPLE AT RISK WHEN THE STUDY HAS
13 SUFFICIENTLY HAD A NEGATIVE RESULT SO THAT YOU DON'T
14 CONTINUE THAT WORK. THAT WOULD BE A HUMAN SETTING.

15 SIMILARLY, WITH THE ANIMALS, THERE'S CERTAIN
16 EXPERIMENTS YOU WOULD NOT CONTINUE DOING. YOU HAVE TO
17 HAVE A FINITE NUMBER OF ANIMALS THAT YOU WOULD
18 PRESCRIBE. PERHAPS THAT NEEDS TO BE IN THE CONTEXT OF
19 A GROUP THAT IS FAMILIAR ENOUGH WITH THE STEM CELL
20 RESEARCH TO SAY WHETHER OR NOT THAT'S INDEED TRUE OR
21 NOT. HOPEFULLY THAT MAKES SENSE.

22 THE POINT IS THAT I THINK THAT IT PROVIDES,
23 AS ALTA WAS SAYING, IS THAT IT PROVIDES A SCIENTIFIC
24 REVIEW AT LEAST AS PART OF ITS FUNCTION, THAT THERE IS
25 NOWHERE ELSE TO GO IN AN INSTITUTION BECAUSE THE WORK

1 IS SO UNIQUE.

2 CO-CHAIR LO: THIS IS BASED ON THE NAS
3 RECOMMENDATION WHICH REALLY ACKNOWLEDGED THAT THE
4 SCIENTIFIC EXPERTISE IS NOT NECESSARILY THERE IN THE
5 IRB AND THAT THERE ARE ETHICAL ISSUES THAT ARE RAISED
6 UNIQUE TO STEM CELL RESEARCH THAT GO BEYOND TRADITIONAL
7 IRB HUMAN SUBJECTS CONCERNS, AND THAT YOU NEED A STRONG
8 SCIENCE UNDERSTANDING TO MAKE THOSE KINDS OF JUDGMENTS.
9 ALTA GAVE US THE EXAMPLE OF THE TRANSPLANTATION OF
10 HUMAN STEM CELLS INTO ANIMALS.

11 THERE'S ONE ISSUE THAT I'M NOT SURE I'VE
12 HEARD AGREEMENT, AND THAT'S THE REPRESENTATIVES OF THE
13 PUBLIC. AGAIN, THIS WAS WRITTEN TO BE NONAFFILIATED.
14 IT DOESN'T SAY LAY. IT JUST SAYS NONAFFILIATED. THERE
15 ARE CONCERNS RAISED ABOUT WHETHER ONE REPRESENTATIVE ON
16 A COMMITTEE IS GOING TO BE EFFECTIVE, AND SHOULD WE
17 HAVE AT LEAST TWO. AND, AGAIN, THERE'S NOTHING TO
18 PREVENT THAT PERSON FROM ACTUALLY HAVING EITHER BY
19 TRAINING OR BY LEARNING ACQUIRING AN EXPERTISE.

20 I MUST SAY ON A PERSONAL LEVEL I CERTAINLY
21 AGREE WITH JEFF SHEEHY, THAT IN THE HIV WORLD, THERE
22 ARE REMARKABLE NONAFFILIATED MEMBERS WHO HAVE REALLY
23 CONTRIBUTED TO THE SCIENCE BY ASKING TOUGH QUESTIONS
24 AND LEARNING. THE POINT IS WHETHER ONE REPRESENTATIVE
25 ON A COMMITTEE IS ENOUGH.

1 CO-CHAIR LANSING: WHY DON'T WE JUST SAY NO
2 LESS THAN ONE AND LEAVE IT --

3 MR. TOCHER: CURRENTLY IT SAYS AT LEAST.

4 CO-CHAIR LANSING: AT LEAST ONE. SO THEN
5 THAT LEAVES IT'S OPEN.

6 CO-CHAIR LO: CERTAINLY LEAVES IT OPEN. I
7 JUST THINK JEFF RAISED THE QUESTION WAS ONE PERSON ON A
8 COMMITTEE NOT GOING TO HAVE AS MUCH VOICE AS IF THERE
9 WAS MORE THAN ONE.

10 CO-CHAIR LANSING: IT'S HARD TO GET PEOPLE
11 SOMETIMES. I DON'T WANT -- AGAIN, I DON'T WANT TO,
12 LIKE, SUDDENLY FIND THAT WE CAN'T GET THE PERSON. WE
13 CAN'T FULFILL IT. IF THE ONE PERSON FEELS THAT THEY
14 NEED ANOTHER PERSON, I FEEL COMFORTABLE THAT THEY'LL BE
15 ABLE TO ACHIEVE THAT. I THINK WE SAY AT LEAST ONE,
16 THEN WE'RE MAKING A STATEMENT.

17 CO-CHAIR LO: AGAIN, THIS COULD INCLUDED IN
18 THE STATEMENT OF REASONS.

19 MS. CHARO: FIRST, JUST FOR THE RECORD, I
20 DIDN'T MEAN, JEFF, TO EQUATE UNTRAINED WITH STUPID. I
21 DON'T THINK YOU ACTUALLY THOUGHT I DID. I THINK YOUR
22 POINT ABOUT ONE VOICE IS WELL TAKEN, AND I'VE CERTAINLY
23 OBSERVED IT MYSELF ON AN IRB. I THINK A REQUIREMENT
24 THAT THERE BE TWO PEOPLE WHO ARE UNAFFILIATED AND
25 NONTECHNICALLY TRAINED IS EASILY ACCOMMODATED.

1 MY COMMENTS WERE REALLY DIRECTED AT THE
2 SUGGESTION THAT A MAJORITY OF THE COMMITTEE BE MADE UP
3 OF NONTECHNICALLY TRAINED PEOPLE, WHICH, I THINK,
4 CHANGES THE DYNAMIC CONSIDERABLY WITH REGARD TO HOW IT
5 CAN FUNCTION. BUT I WAS NOT TRYING TO ARGUE FOR
6 HOLDING THE LINE AT ONE AND NO MORE THAN ONE.

7 MR. SHEEHY: THE ONLY REASON I SAY TWO IS IF
8 WE WANT IT TO BE MEANINGFUL. THIS IS A NEW ADDITION.
9 I'M NOT -- I HAVEN'T REALLY THOUGHT ABOUT IT, BUT IT
10 ALSO SERVES, I BELIEVE, AN IMPORTANT -- AND I GO BACK
11 TO THE COMMENT DR. KIESSLING MADE WHEN WE FIRST TALKED
12 TO HER ABOUT BEING ON THIS COMMITTEE. SCIENTISTS NEED
13 TO COME OUT FROM BEHIND THE BENCH. PEOPLE DO NOT
14 UNDERSTAND STEM CELL RESEARCH, AND THE MORE THE LAY
15 PUBLIC IS INVOLVED IN THIS PROCESS, THE MORE BENEFICIAL
16 IT IS TO THE UNDERSTANDING. SO THAT'S...

17 CO-CHAIR LO: OTHER COMMENT ON THIS ISSUE?

18 MR. SHESTACK: WHAT'S THE PROCESS FOR
19 SELECTING THE PUBLIC MEMBER THAT GOES ON AN IRB OR AN
20 ESCRO TYPICALLY?

21 DR. KIESSLING: IT'S VERY AD HOC.

22 MS. CHARO: IT VARIES FROM INSTITUTION TO
23 INSTITUTION. SO IN MANY CASES, IN IRB'S, THAT HAS
24 ACTUALLY BECOME CODE FOR CLERGY. AND SO YOU GET ONE OF
25 THE MORE LOCAL PROMINENT CLERGY PEOPLE SELECTED EITHER

1 BY THE DEAN OF THE MEDICAL SCHOOL OR THE CHANCELLOR AT
2 THE CAMPUS. OTHER INSTITUTIONS, THEY HAVE PEOPLE WHO
3 HAVE LONG BEEN INVOLVED IN PATIENT ADVOCACY AND PATIENT
4 CARE GROUPS WHO COME ON BOARD. BUT THE SELECTION IS
5 NOT AT ALL DEMOCRATIC. IT'S USUALLY BY VIRTUE OF AN
6 INFORMAL NETWORK AND WHOEVER HAS THE POWER OF
7 APPOINTMENT WITHIN THE INSTITUTION.

8 CO-CHAIR LO: JON, IN ANSWER TO YOUR
9 QUESTION, WE HAVE TWO NONAFFILIATED MEMBERS ON OUR
10 ESCRO. ONE IS A HIGH SCHOOL BIOLOGY TEACHER AND THE
11 OTHER IS A LAW PROFESSOR AT A DIFFERENT INSTITUTION.
12 WE'RE ACTUALLY TRYING TO ADD MORE MEMBERS BECAUSE WE
13 ACTUALLY HAVE A REQUIREMENT THAT AT LEAST ONE OF THEM
14 HAS TO BE PRESENT AT THE MEETING, NOT JUST ON THE
15 COMMITTEE. IT'S ACTUALLY A REAL BURDEN ON THEM, WITH
16 THEIR OTHER COMMITMENTS, TO BE THERE. WE THOUGHT THAT
17 INCREASING THE NUMBER OF MEMBERS WE'D ALWAYS HAVE SOME
18 NONAFFILIATED REPRESENTATION WITHOUT MAKING IT ONEROUS
19 ON THOSE MEMBERS.

20 DR. WAGNER: THE ONLY THING THAT ACTUALLY
21 BOTHERS ME, AS I READ THIS SECTION AGAIN ON MEMBERSHIP,
22 IS REALLY THIS ISSUE OF PRESCRIBING THE EXPERTISE IN
23 SPECIFIC AREAS. MY FEAR IS THAT, JUST LIKE YOU JUST
24 SAID, MAKING SURE THAT THERE IS ONE PERSON FROM THE
25 PUBLIC THAT'S AVAILABLE. WHAT HAPPENS IF I DON'T HAVE

1 ACCESS TO AN ASSISTED REPRODUCTIVE SPECIALIST WHO'S
2 AVAILABLE FOR EVERY MEETING? I'M JUST AFRAID THAT THE
3 INTENTION IS GOOD. AND CERTAINLY YOU NEED TO HAVE
4 ACCESS IN GENERAL FOR SPECIFIC QUESTIONS. BUT IF
5 YOU'RE SAYING THE COMMITTEE MUST BE COMPRISED OF AND
6 AVAILABLE FOR EACH MEETING --

7 CO-CHAIR LO: WE DON'T SAY THIS IN THIS. IT
8 SAYS NOTHING ABOUT WHO HAS TO BE AT THE MEETING.

9 DR. WAGNER: IT SAYS MEMBERSHIP SHALL BE
10 COMPRISED OF. I GUESS TO MAKE SURE THAT PEOPLE ARE
11 CLEAR THEN, SO THAT THE INSTITUTION DOESN'T SAY IT HAS
12 TO BE IF THAT'S NOT THE INTENT.

13 CO-CHAIR LO: THEY MAY SAY THAT, BUT THAT'S
14 NOT THE INTENT. THE REASON WE PUT THOSE SPECIFIC
15 THINGS IN IS THAT ORIGINALLY IT WAS PERSONS WITH
16 APPROPRIATE EXPERTISE. WE WERE TOLD THAT WILL GET
17 THROWN OUT BY THE ADMINISTRATIVE LAW OFFICE BECAUSE NO
18 ONE KNOWS WHO THEY NEED.

19 DR. WAGNER: FOR EXAMPLE, ALL THE STUDIES AT
20 MY INSTITUTION, LET'S SAY, IN CALIFORNIA ARE WORKING
21 WITH EMBRYOS THAT WERE DONATED. DO I NEED TO HAVE AN
22 ASSISTED REPRODUCTIVE SPECIALIST PRESENT? THERE'S NO
23 OTHER ASPECT OF THAT RESEARCH PRESENT IN MY
24 INSTITUTION.

25 DR. PRIETO: COULD LANGUAGE BE SOMETHING LIKE

1 SHALL BE COMPRISED OF PERSONS WITH EXPERTISE IN AREAS
2 INCLUDING, BUT NOT LIMITED TO?

3 CO-CHAIR LO: THAT WOULD WORK. I THINK
4 JOHN'S QUESTION IS IS ASSISTED REPRODUCTION, SHOULD
5 THAT NOT BE IN THERE. I GUESS THE QUESTION IS CAN WE
6 CONCEIVE OF A PROTOCOL WHERE WE WOULDN'T WANT SOME
7 KNOWLEDGE OF DEVELOPMENTAL BIOLOGY, STEM CELL RESEARCH,
8 MEDICAL BIOLOGY TO BE ON THE COMMITTEE? IT'S REALLY
9 THIS QUESTION OF WHAT'S APPROPRIATE TO THE KIND OF
10 RESEARCH BEING DONE, OUR DESIRE TO HAVE TO SAY
11 SOMETHING.

12 SCOTT, CAN YOU HELP US HERE? ARE WE FORCED
13 TO ACTUALLY LIST THE TYPES OF EXPERTISE? I THINK THIS
14 WAS IN RESPONSE TO A COMMENT.

15 DR. PRIETO: ONE FOLLOW-UP COMMENT. IT SEEMS
16 TO ME THAT, DEPENDING ON THE RESEARCH PROPOSAL BEING
17 EVALUATED, THAT THE ESCRO MIGHT WANT EITHER TO HAVE OR
18 TO CONTRACT FOR PEOPLE WITH EXPERTISE IN DIFFERENT
19 AREAS, INCLUDING THE ANIMAL RESEARCH, AS ANN MENTIONED,
20 AND PERHAPS FOR SOME CERTAIN FUTURE RESEARCH, ASSISTED
21 REPRODUCTION. MY LANGUAGE, I THINK, WAS INTENDED TO
22 ALLOW A LITTLE BIT OF WIGGLE ROOM THERE. AND I THINK
23 WE'RE NOT MANDATING THAT ALL OF THESE BE PRESENT AT
24 EVERY MEETING ON EVERY COMMITTEE, BUT THESE ARE SOME OF
25 THE AREAS THAT NEED TO BE REPRESENTED.

1 DR. KIESSLING: IRB'S FREQUENTLY FIND THE
2 EXPERTISE THEY NEED. I THINK THAT SHOULD BE THE WAY
3 THIS IS SET UP. YOU WANT -- YOU REALLY WANT MEMBERS OF
4 THE PUBLIC, YOU WANT BIOETHICISTS, AND YOU WANT
5 SCIENTISTS. AND THEN IF YOU NEED ASSISTED
6 REPRODUCTION, YOU GO FIND SOMEBODY WHO HAS IT. BUT I
7 THINK TO MANDATE THESE MANY SPECIFIC AREAS ON THE
8 COMMITTEE IS A PROBLEM. IT'S HOW IRB'S WORK.

9 CO-CHAIR LO: I'M HEARING A LOT OF CONCERNS
10 ABOUT SPECIFYING SPECIFIC TYPES OF EXPERTISE. SCOTT,
11 HELP US HERE BECAUSE WE NEED TO GET THIS THROUGH OAL.

12 MR. TOCHER: I GUESS FROM A DRAFTING
13 STANDPOINT, A LOT OF IT REALLY DOES SORT OF DEPEND ON
14 WHAT IT IS YOU WANT. YOU MIGHT FIND, FOR INSTANCE,
15 THAT THERE'S ONE INDIVIDUAL WHO SATISFIES MORE THAN ONE
16 OF THE CRITERIA. THE PRESUMPTION MAY BE THAT THERE'S
17 ONE INDIVIDUAL PERSON YOU'RE THINKING OF FOR EACH OF
18 THESE, BUT, IN FACT, SOMEONE MAY BE BOTH AN EXPERT OR
19 EXPERTISE IN STEM CELL RESEARCH AND ALSO ASSISTED
20 REPRODUCTION OR MOLECULAR BIOLOGY. I'M NOT SURE. SO
21 YOU MAY BE -- I'M NOT SURE IF THAT'S IMPORTANT OR NOT,
22 BUT YOU MAY WANT TO LOOK AT THESE FOUR OR FIVE AREAS
23 AND SAY, WELL, IF YOU SATISFY FOUR OF THE FIVE OR THREE
24 OF THE FOUR OR WITH A MAJORITY OF THEM WITH EXPERTISE
25 IN THIS, THAT, OR THE OTHER THING, BUT OTHERWISE I

1 THINK YOUR EARLIER ADVICE BEFORE I CAME ON BOARD THAT
2 SIMPLY SAYING APPROPRIATE EXPERTISE ISN'T SUFFICIENT.

3 MR. LOMAX: SCOTT, CAN I REMIND YOU, TO
4 REFRESH YOUR MEMORY, THE DISCUSSIONS WE DID HAVE WITH
5 OFFICE OF ADMINISTRATIVE LAW, AND THEY ACTUALLY CALLED
6 OUT THIS REQUIREMENT. AND THEY WERE EXPRESSING
7 CONCERNS THAT IT NOT BE TOO VAGUE. THEY WERE EVEN
8 SUGGESTING WE MAY NEED A NUMBER OF PEOPLE. SO WE ARE
9 GETTING CAUGHT.

10 CO-CHAIR LO: SO IT'S PRETTY CLEAR WE DON'T
11 HAVE THE OPTION OF LEAVING IT TOTALLY OPEN TO THE SCRO.
12 THIS LANGUAGE ACTUALLY WAS TAKEN OVER PRETTY MUCH WORD
13 FOR WORD FROM THE NAS GUIDELINES, WHICH LISTS, AGAIN,
14 THESE DEVELOPMENTAL BIOLOGY, STEM CELL RESEARCH,
15 MOLECULAR BIOLOGY, ASSISTED REPRODUCTION.

16 MR. TOCHER: ONE OF THE ISSUES, JUST TO GIVE
17 SOME BACKGROUND ABOUT THE NAS GUIDELINES, IS THAT THE
18 FEDERAL SYSTEM REG WRITING AND THE RULES ABOUT
19 SPECIFICITY, AND THOSE REQUIREMENTS ARE DIFFERENT FROM
20 THE STATE OF CALIFORNIA. STATE OF CALIFORNIA,
21 GENERALLY SPEAKING, IS MUCH MORE PRECISE IN ITS
22 REQUIREMENTS FOR SPECIFICITY.

23 SECONDLY, GUIDELINES, WHICH WE'RE FAMILIAR
24 WITH AND ARE HELPFUL, THEY SORT OF ADD FLESH TO THE
25 BONES, THAT SORT OF THING. THOSE ARE SORT OF

1 DISFAVORED AS A MODE OF GOVERNANCE IN THE STATE OF
2 CALIFORNIA.

3 THERE SHOULD BE NOTHING IN A GUIDELINE THAT
4 ISN'T ALREADY IN THE REGULATION SHOULD REALLY BE YOUR
5 MODEL. SO IN SOME CASES, THEY'RE GREAT FOR
6 INSPIRATION, BUT WE HAVE TO FINE-TUNE THEM A LITTLE
7 MORE.

8 MS. CHARO: WELL, GIVEN THAT WE HAVE TO HAVE
9 SOME DEGREE OF SPECIFICITY, JUST A COUPLE OF THINGS
10 VERY BRIEFLY. FIRST, THE WAY IT'S WRITTEN NOW IT SAYS
11 THAT IT SHALL BE COMPRISED OF PEOPLE WITH THE FOLLOWING
12 EXPERTISE. AT A MINIMUM I THINK WE NEED TO SAY THAT IT
13 SHALL BE COMPRISED OF PEOPLE REPRESENTING AT LEAST THE
14 FOLLOWING AREAS OF EXPERTISE. SO THAT ESCRO'S THAT
15 DETERMINE, FOR EXAMPLE, AS ANN WAS SUGGESTING, THEY'D
16 REALLY LIKE SOMEBODY WHO'S FAMILIAR WITH ANIMAL
17 PHYSIOLOGY OR DEVELOPMENTAL BIOLOGY OF A PARTICULAR
18 ORGANISM THAT'S FREQUENTLY USED IN THEIR FACILITY CAN
19 BE ADDED.

20 SECOND, THE AREAS THAT ARE OUTLINED HERE
21 DON'T STRIKE ME AS BEING UNREASONABLE IN TERMS OF THE
22 RANGE OF EXPERTISE THAT YOU REALLY WANT IN ORDER TO BE
23 ASSURED THAT THE ESCRO IS TECHNICALLY COMPETENT TO
24 PERFORM THE FUNCTIONS YOU'RE ASKING OF IT. SO RATHER
25 THAN TRY TO RATCHET BACK. I UNDERSTAND THE FEAR THAT

1 YOU WON'T BE ABLE TO FIND PEOPLE, BUT WE FIND PEOPLE.
2 WE DO. EVERY INSTITUTION FINDS THEM OVER TIME.

3 LAST, JUST GOING BACK TO THE LAY THING, I
4 DON'T KNOW IF THIS IS THE COMMITTEE YOU WERE REFERRING
5 TO, JESSE, BUT THERE ARE FOUR DIFFERENT COMMITTEES AT
6 HFEA. AND THE CLOSEST IN ANALOG TO THIS ONE WOULD BE
7 THE REGULATORY COMMITTEE -- THE REGULATION COMMITTEE.
8 THEY'VE ALSO GOT A LAW AND ETHICS COMMITTEE. THEY'VE
9 GOT A CLINICAL ADVANCES COMMITTEE. NEITHER OF THOSE
10 PERFORM FUNCTIONS ANALOGOUS.

11 THE REGULATION COMMITTEE I FOUND FINALLY, AND
12 IT IS ACTUALLY MUCH VAGUER THAN CALIFORNIA LAW, SCOTT.
13 AND IT BASICALLY SAYS YOU HAVE AT LEAST FIVE MEMBERS,
14 AND THAT THE COMMITTEE WILL BE CHAIRED BY A LAY MEMBER
15 AND WILL INCLUDE LAY AND NONLAY MEMBERS, BUT IT DOES
16 NOT SPECIFY THE PROPORTIONS OF LAY AND NONLAY MEMBERS.

17 THEY LIVE WITH A GREAT DEAL OF UNCERTAINTY
18 OVER THERE IN MERRY OLD ENGLAND, WHICH WE'RE NOT
19 ALLOWED TO HAVE HERE.

20 DR. PRIETO: DOES THE LANGUAGE THAT I
21 SUGGESTED GIVE US OR GIVE THE ESCRO'S THE FLEXIBILITY
22 THAT THEY NEED TO APPOINT THE APPROPRIATE MEMBERS AND
23 NOT APPOINT THOSE WHOSE EXPERTISE --

24 CO-CHAIR LO: FLEXIBILITY TO INCLUDE MEMBERS
25 BEYOND WHAT WE'VE LISTED. I'M NOT SURE IT GIVES THEM

1 THE FLEXIBILITY TO NOT HAVE EXPERTISE THAT'S LISTED IN
2 OUR LANGUAGE.

3 MR. TOCHER: THAT'S RIGHT. WHEN YOU USE
4 REPRESENTING AT LEAST THE FOLLOWING, THAT'S A FLOOR.

5 DR. PRIETO: I SAID INCLUDING, BUT NOT
6 LIMITED TO, OR MAYBE WHICH MAY INCLUDE. WOULD THAT
7 GIVE MORE LATITUDE?

8 MR. TOCHER: THAT MEANS IT MAY NOT INCLUDE
9 ANY OF THEM. IF YOU USE MAY INCLUDE, THAT'S
10 PERMISSIVE.

11 DR. PRIETO: CAN'T BE PERMISSIVE.

12 MR. TOCHER: THAT'S A POLICY CALL. IF YOU
13 WANT IT TO BE PERMISSIVE. THERE'S NOTHING AT THE OAL
14 THAT REQUIRES IT OTHER THAN IF YOU WANT IT TO BE
15 PERMISSIVE. IF YOU WANT TO SPELL IT OUT, THAT'S UP TO
16 YOU.

17 CO-CHAIR LO: REMEMBER, WE IN PRINCIPLE
18 ADOPTED NAS GUIDELINES THAT SPELLED OUT THESE SPECIFIC
19 AREAS. SO I THINK IF WE WANT TO SAY WE'RE CHANGING
20 THAT TO TAKE AWAY SOME EXPERTISE REQUIREMENTS, WE NEED
21 TO THINK --

22 DR. PRIETO: I DON'T KNOW THAT WE WANT TO
23 TAKE AWAY EXPERTISE SO MUCH AS WE WANT TO GIVE A LITTLE
24 BIT OF FLEXIBILITY TO THE ESCRO TO DETERMINE WHAT
25 EXPERTISE THEY NEED TO EVALUATE PARTICULAR RESEARCH

1 PROPOSALS.

2 CO-CHAIR LO: THERE'S NOTHING TO KEEP AN
3 ESCRO FROM SAYING WE DON'T NEED THE FOLLOWING MEMBERS
4 TO REVIEW THIS PROPOSAL BECAUSE YOUR EXPERTISE ISN'T
5 PERFECT. ALL THIS IS SAYING IS THAT THE MEMBERS HAVE
6 TO HAVE THIS EXPERTISE. DOESN'T SAY IT HAS TO REVIEW
7 IT, DOESN'T SAY HAVE TO BE AT THE MEETING.

8 DR. PRIETO: THEN PERHAPS THIS IS PERMISSIVE
9 ENOUGH.

10 CO-CHAIR LO: THAT'S WHAT I'M SAYING. I
11 THINK IF WE SORT OF SAY WE DON'T WANT PEOPLE ON THE
12 COMMITTEE WITH CERTAIN EXPERTISE, IT MAY SEND THE WRONG
13 MESSAGE.

14 DR. PRIETO: OKAY.

15 CO-CHAIR LO: DOES THIS ADDRESS YOUR
16 CONCERNS? IT WAS WRITTEN NOT TO REQUIRE REVIEWER
17 PRESENCE AT THE MEETING, JUST THAT SOMEONE HAVE THAT
18 EXPERTISE.

19 DR. WAGNER: I GUESS IT JUST DEPENDS ON HOW
20 IT'S INTERPRETED BY THE INSTITUTIONS. AND IF I READ
21 IT, I WOULD HAVE INTERPRETED THAT THE COMMITTEE MUST
22 CONTAIN SOMEONE WHO'S EXPERT IN ASSISTED REPRODUCTION
23 EVEN THOUGH NO ONE IS DOING ANY RESEARCH THAT INVOLVES
24 ASSISTED REPRODUCTION AT THAT PARTICULAR INSTITUTION.
25 AND ALTHOUGH, AS ALTA SAYS, WE CAN LIKELY FIND SOMEONE

1 THAT CAN FILL THAT ROLE, IT DOESN'T MEAN THAT PERSON
2 NECESSARILY WILL WANT TO CONTINUE TO PARTICIPATE IF
3 THERE IS NO RESEARCH IN THAT AREA THAT HE OR SHE HAS
4 EXPERTISE IN.

5 SO, AGAIN, IF THE INTERPRETATION IS ABLE TO
6 BE FREE, AND THE ESCRO CAN DECIDE WHETHER OR NOT IT'S
7 APPROPRIATE FOR THAT INSTITUTION, THEN THAT'S GREAT.
8 I'M JUST TRYING TO POINT OUT THE FACT THAT I THINK THAT
9 THAT'S AT LEAST THE ONE THAT I SEE, THE ASSISTED
10 REPRODUCTION, WHERE THAT MIGHT NOT BE NEEDED.

11 CO-CHAIR LO: PROBLEM IS IF WE LEAVE IT OUT,
12 THEN IT LOOKS AS IF WE'RE ON THE ONE HAND ENCOURAGING
13 SCNT AND NOT REQUIRING ON THESE OVERSIGHT COMMITTEES,
14 SO IT'S A BALANCE. YOU MAY NOT NEED IT IN SOME
15 INSTITUTIONS, BUT YOU'RE CERTAINLY GOING TO NEED IT IN
16 OTHERS. AND IT'S WHERE WE STRIKE THE BALANCE.

17 DR. ROWLEY: AS I UNDERSTAND IT, THEN YOU ARE
18 MAKING THE DISTINCTION THE ESCRO COMMITTEE COULD
19 CONSIST OF THESE INDIVIDUALS, BUT AT A PARTICULAR
20 MEETING, IF THERE ARE NO GRANTS OR PROPOSALS THAT ARE
21 DIRECTLY RELEVANT TO THAT AREA, THAT PERSON WOULDN'T
22 NECESSARILY HAVE TO COME. AND THAT PERSON COULD BE A
23 CONSULTANT, NOT ON THE STAFF OF THE INSTITUTION, BUT A
24 AVAILABLE FOR ADVICE WHERE RELEVANT PROPOSALS ARE BEING
25 DISCUSSED; IS THAT CORRECT?

1 DR. PRIETO: THAT'S HOW I UNDERSTAND IT.

2 MS. CHARO: JUST BY WAY OF BACKGROUND
3 INFORMATION, HOW THAT GOT INTO THE NATIONAL ACADEMY
4 GUIDELINES, IT ORIGINALLY WASN'T THERE. AND THEN, YOU
5 KNOW, THESE MEETINGS WERE PUBLIC, THERE WERE PUBLIC
6 COMMENTS, THERE ARE EXTERNAL REVIEWS. AND ON SEVERAL
7 SEPARATE OCCASIONS, THE COMMENT CAME BACK FAIRLY
8 POINTEDLY THAT THE ESCRO'S COMPOSITION HAD LACKED
9 SOMEBODY FAMILIAR WITH ASSISTED REPRODUCTION AND THAT
10 IT REALLY NEEDED IT. SO IT WAS ADDED AS A RESULT OF
11 INPUT FROM OTHERS WHO SEEMED TO THINK IT WAS IMPORTANT.

12 CO-CHAIR LO: ANY OTHER PUBLIC COMMENT?

13 MR. REYNOLDS: I'D LIKE TO CLARIFY MY EARLIER
14 COMMENT. I THINK IT MAY HAVE JUST BEEN SLIGHTLY
15 MISINTERPRETED. I DID NOT NECESSARILY MEAN TO IMPLY
16 THAT MAJORITY IS WHAT I WAS ASKING FOR. I CERTAINLY
17 DIDN'T MEAN TO IMPLY NONTECHNICAL STAFF. AND PERHAPS A
18 BETTER ANALOGY OF WHAT I HAD IN MIND IF YOU'RE ON THE
19 COMMITTEE. IF MY NUMBERS ARE RIGHT, THIS COMMITTEE
20 HERE IS COMPOSED OF NINE RESEARCH SCIENTISTS, FIVE
21 PATIENT ADVOCATES, AND FOUR BIOETHICISTS BROADLY
22 DEFINED.

23 WHAT I HAD IN MIND WAS THE LAST TWO GROUPS,
24 THE NONRESEARCH SCIENTISTS. HERE ON THIS COMMITTEE
25 AMONG THAT GROUP INCLUDES A LAWYER, A PRACTICING

1 PHYSICIAN, AND SO FORTH. AND THIS IS SORT OF THE
2 BROADER CATEGORY THAT I HAD IN MIND WITH NONRESEARCH
3 SCIENTISTS, NOT NECESSARILY NONTECHNICALLY TRAINED, BUT
4 BIOETHICISTS, LAWYERS, AND PRACTICING PRIMARY CARE
5 PHYSICIANS, AND SO FORTH THAT I WOULD RECOMMEND
6 CONSTITUTE A CERTAIN MINIMAL PERCENTAGE OF THE ESCRO.

7 MS. CHARO: JESSE, JUST TO BE REALLY CLEAR,
8 THOUGH, THOSE OF US THAT HAVE TRAINING IN BIOETHICISTS
9 OR LAW DON'T NECESSARILY REALLY KNOW ENOUGH BIOLOGY TO
10 APPRECIATE THE DESCRIPTION OF SOMEBODY'S EXPERIMENT.
11 WE PROBABLY ARE A LITTLE MORE FAMILIAR FROM EXPOSURE,
12 BUT THERE'S STILL THIS CHASM THAT'S REPRESENTED BY AT
13 LEAST 30 TO 40 CREDIT HOURS OF CLASSROOM TIME.

14 MR. REYNOLDS: I CERTAINLY -- THANKS FOR
15 ADMITTING THAT. I THINK YOU'RE BEING QUITE HUMBLE. IF
16 YOU LOOK AT THE COMMITTEE HERE, IT'S STILL 50 PERCENT
17 RESEARCH SCIENTISTS. AND WHEN A TECHNICAL QUESTION
18 COMES UP, THAT LEAVES THE POSSIBILITY THAT THAT CAN BE
19 EXPLAINED AS WELL. YOU AND AS WELL AS THE OTHER
20 BROADLY DEFINED BIOETHICISTS AND PATIENT ADVOCATES ON
21 THIS COMMITTEE CLEARLY HAVE A WORKING KNOWLEDGE OF
22 BASIC STEM CELL BIOLOGY. IT'S JUST WHEN QUESTIONS DO
23 GET INTO A CERTAIN TECHNICAL AREA, THAT, YES, THERE IS
24 RESEARCH HERE TO BE CALLED UPON.

25 I THINK WHEN YOU LOOK AT THE OVERALL

1 COMPOSITION OF THE COMMITTEE, I RECOMMEND THAT YOU
2 CONSIDER TWO OVERALL AREAS, THE RESEARCH SCIENTISTS AND
3 THE NONRESEARCH SCIENTISTS, AND WORK OUT AN APPROXIMATE
4 OR MINIMAL RATIO OF THE NONRESEARCH SCIENTISTS, WHICH
5 IS HOW THIS COMMITTEE IS STRUCTURED.

6 MS. DELAURENTIS: MY NAME IS SUSAN
7 DELAURENTIS. I'M FROM THE ALLIANCE FOR STEM CELL
8 RESEARCH. I WOULD ENCOURAGE YOU TO INCLUDE A PATIENT
9 ADVOCATE. IN MY FORMER LIFE AS CO-FOUNDER OF THE
10 ELIZABETH GLAZER PEDIATRIC AIDS FOUNDATION, I HAVE
11 QUITE A BIT OF EXPERIENCE AS A LAYPERSON INVOLVED
12 DIRECTLY IN THE RESEARCH PROGRAMS AND SERVED ON AN NIH
13 ADVISORY COUNCIL. I BELIEVE THAT IT'S REALLY IMPORTANT
14 TO CODIFY THAT BECAUSE I THINK THAT OTHERWISE YOU WILL
15 END UP HAVING THESE COMMITTEES WITHOUT PATIENT INPUT.
16 THAT I THINK ALSO PERHAPS HAVING A MINIMUM OF ONE
17 PERSON AT THESE MEETINGS, AS BERNIE SAID, YOU HAVE TO
18 HAVE A LAYPERSON AT EACH OF THESE MEETINGS. A PATIENT
19 ADVOCATE WOULD BE PREFERABLE ON MY PART. THANK YOU.

20 CO-CHAIR LANSING: VERY GOOD IDEA.

21 (SIMULTANEOUS DISCUSSION.)

22 MS. FLORES: I'M REBECCA FLORES. I'M FROM
23 CEDARS-SINAI MEDICAL CENTER, THE OFFICE OF RESEARCH
24 COMPLIANCE. I JUST WANT TO REITERATE A COMMENT THAT
25 WAS MADE YESTERDAY FROM THE VICE CHANCELLOR AT BERKELEY

1 IN TERMS OF PUTTING THESE GUIDELINES AND REGULATIONS
2 INTO PLACE. THE IRB'S ARE GOING TO NEED A LOT OF
3 DIRECTION. THERE WERE A LOT OF GOOD POINTS BROUGHT UP
4 DURING THIS DISCUSSION. NO. 1 BEING THAT WE DON'T WANT
5 TO CREATE ANY UNNECESSARY BURDENS OR NEW BARRIERS TO
6 GETTING THIS RESEARCH GOING.

7 I THINK THAT THE POINT THAT WAS VERY WELL
8 TAKEN ON MY PART WAS DISTINGUISHING THE PURPOSE OF THE
9 SCRO FROM THE IRB AND SEEING WHAT AREAS THAT THE IRB'S
10 UNABLE TO COVER THAT THEN THE SCRO CAN COME IN AND MEET
11 THOSE OBLIGATIONS. I ASK THE COMMITTEE TO REALLY
12 CONSIDER THAT CAREFULLY AND TO NOT HAVE DIFFERENT
13 REGULATORY BODIES MAKING DIFFERENT RECOMMENDATIONS,
14 HAVING DIFFERENT CONCERNS BECAUSE THE IMPLEMENTATION OF
15 THOSE TYPES OF GUIDELINES ARE VERY DIFFICULT AND
16 RESULTS IN DELAYS IN RESEARCH AND THE INVENTIONS THAT
17 CAN BE USED FOR PATIENT CARE. SO I THINK THAT THAT'S
18 SOMETHING THE IMPLEMENTATION HAS TO BE CLEAR.

19 AND ALSO IN TERMS OF DEFINING SPECIALTIES AND
20 EXPERTISE ON THE REGULATION, HAVING WORKED BOTH AT
21 CEDARS AND AT UCLA, IF A REGULATION STATES THAT A SCRO
22 MUST BE COMPRISED OF AND LIST SPECIFIC SPECIALTIES, THE
23 LEGAL COUNSEL AT THAT INSTITUTION IS GOING TO REQUIRE
24 IN THAT CASE. SO IF THERE'S LEEWAYS THERE, IF THERE'S
25 WAYS TO HAVE THIS LEEWAY THAT YOU'RE DISCUSSING HERE,

1 THAT'S GOING TO HAVE TO BE SPELLED OUT SOMEWHERE IN THE
2 GUIDELINES OR IN THE REGULATIONS THEMSELVES IN ORDER TO
3 ALLOW THIS TO PROCEED LIKE THAT.

4 CO-CHAIR LO: THANK YOU. IF I MAY JUST
5 COMMENT. THE CONCERN ABOUT NOT HAVING DUPLICATION OF
6 EFFORT IN REVIEW BODIES OR CONTRADICTIONARY REVIEWS WAS
7 ALSO SOMETHING WE HEARD VERY STRONGLY FROM THE RESEARCH
8 INSTITUTIONS THAT ATTENDED THIS MEETING IN SAN
9 FRANCISCO. WE HAVE SPECIFICALLY LEFT THE RELATIONSHIPS
10 BETWEEN THE ESCRO, THE IRB, AND THE IACUC UP TO THE
11 INDIVIDUAL INSTITUTION WITH A VIEW TO RECOGNIZE THAT
12 WHAT WORKS IN ONE INSTITUTION IN TERMS OF STRICT
13 OVERSIGHT, BUT EFFICIENT OVERSIGHT, MAY NOT WORK IN
14 ANOTHER, TO LEAVE A LOT OF INDIVIDUAL DISCRETION FOR
15 THAT. THAT'S A CHANGE FROM THE NAS GUIDELINES.

16 MR. REED: JUST A THOUGHT ON THE IDEA OF A
17 QUOTA OR A RATIO FOR THE PUBLIC ON SUCH AN ESCRO. I
18 THINK THE PURPOSE OF THE PUBLIC THERE IS SO THAT
19 EVERYONE -- EVERY EXPERT THERE CAN COMMUNICATE TO HIM
20 OR HER AND MAKE THEIR POSITION CLEAR IN PEOPLE TALK,
21 WHICH HE OR SHE CAN THEN ALSO SHARE WITH THE COMMUNITY
22 AT LARGE. I DON'T SEE IT AS AN ADVERSARY-TYPE
23 SITUATION WHERE THE NUMBERS HAVE TO BE EVENLY MATCHED
24 AS IF IT'S SOME SORT OF A WAR. I THINK A COMMUNICATIVE
25 FUNCTION.

1 CO-CHAIR LO: THANK YOU FOR THOSE COMMENTS.
2 ONE COMMENT THAT I THOUGHT STRUCK RESONANCE WITH SOME
3 PEOPLE ON THE COMMITTEE WAS THIS IDEA OF HAVING A
4 PATIENT ADVOCATE SERVE ON THIS BOARD, THE ESCRO. I
5 WANTED TO GET REACTIONS FROM THE COMMITTEE ON THAT.

6 MR. SHEEHY: SOUNDS LIKE A GREAT IDEA TO ME.

7 CO-CHAIR LO: SHERRY WAS VERY MUCH FOR IT.

8 DR. PRIETO: MY ONLY QUESTION IS HOW DO WE
9 SPECIFY THAT, AND IS THAT IN ADDITION TO
10 REPRESENTATIVES OF THE LAY PUBLIC?

11 CO-CHAIR LO: THAT'S WHAT WE NEED TO SORT OUT
12 IN THE NEXT BIT OF TIME HERE BEFORE WE CAN ADJOURN THIS
13 MEETING.

14 MS. FEIT: I THINK IT'S A GOOD IDEA. WE WERE
15 CHOSEN TO BE PATIENT ADVOCATES BECAUSE WE HAVE SPECIAL
16 BACKGROUND ALSO, BUT WE BRING A DIFFERENT PERSPECTIVE.
17 AND I THINK IT'S BEEN HELPFUL FOR THE ICOC.

18 CO-CHAIR LO: SO HOW DO WE CHANGE THIS, WITH
19 AT LEAST ONE PATIENT ADVOCATE AND ONE REPRESENTATIVE OF
20 THE PUBLIC WHO'S NOT OTHERWISE AFFILIATED.

21 MR. TOCHER: I HAD IT AS WITH AT LEAST ONE
22 REPRESENTATIVE OF THE PUBLIC AND ONE PATIENT ADVOCATE,
23 NEITHER OF WHOM IS AFFILIATED WITH THE RESEARCH
24 INSTITUTION.

25 MR. SHEEHY: I THINK WE SHOULD ADD WITH NO

1 FINANCIAL INTEREST IN THE OUTCOME.

2 CO-CHAIR LO: ABSOLUTELY. I THOUGHT THAT WAS
3 UNANIMOUS, THAT THESE TWO MEMBERS SHOULD HAVE NO
4 FINANCIAL CONFLICTS.

5 DR. WAGNER: CAN I ASK ONE QUESTION, THOUGH,
6 ABOUT THE WAY YOU WORDED THAT, SCOTT, ABOUT THE PATIENT
7 ADVOCATE HAVING NO RELATIONSHIP AT ALL WITH THE
8 INSTITUTION. THE WAY YOU READ IT, COULD YOU READ THAT
9 AGAIN?

10 MR. TOCHER: I JUST USED AFFILIATED.

11 CO-CHAIR LO: THE WAY IT'S WRITTEN IN THE
12 FEDERAL REGULATIONS IS NOT OTHERWISE AFFILIATED WITH
13 THE INSTITUTION. CAN'T BE SOMEONE WHO RUNS YOUR
14 VOLUNTEER AUXILIARY AT THE HOSPITAL.

15 DR. KIESSLING: COULD IT BE A PATIENT?

16 MR. SHEEHY: I WOULD THINK NOT.

17 MR. TOCHER: YOU COULD SAY NOT PROFESSIONALLY
18 AFFILIATED.

19 MR. SHEEHY: I DON'T THINK, YOU KNOW, WHEN
20 YOU ARE A PATIENT, THAT GETS TO -- THAT'S A LITTLE BIT
21 TOO INTIMATE WITH THE ADVOCATE, I THINK, THAT
22 RELATIONSHIP BETWEEN A PATIENT AND --

23 CO-CHAIR LO: INSTITUTION PROVIDING THE CARE.

24 MR. SHEEHY: YEAH. I LOOK TO OTHER FOLKS OR
25 MAYBE SUSAN HAS A THOUGHT OR SOMEBODY ELSE, OR MAYBE

1 SHERRY DOES. I CAN JUST IMAGINE THAT THEY THINK THAT
2 APPROVING THIS PROTOCOL MIGHT ACTUALLY HELP THEM.
3 THAT'S LIKE THE WORST SORT OF CONFLICT.

4 CO-CHAIR LO: THERE WAS A SUGGESTION --

5 MS. DELAURENTIS: WOULD THIS PRECLUDE SOMEONE
6 THAT WAS ON ANOTHER ADVISORY COMMITTEE OF THAT HOSPITAL
7 BECAUSE IS THAT A WAY THEY WOULD BE AFFILIATED?

8 DR. WAGNER: THAT'S ACTUALLY WHAT I WAS
9 THINKING OF. IT IS A FORM OF AFFILIATION. AND SO I
10 WASN'T SO MUCH THINKING ABOUT WHAT YOUR ISSUE WAS. I
11 WAS THINKING MORE LIKE WHAT YOU ARE SAYING, IS THAT
12 THERE IS AN AFFILIATION IN ANOTHER WAY.

13 MS. DELAURENTIS: WHAT IF THEY'RE PART OF AN
14 ORGANIZATION THAT GIVES FUNDING TO THAT INSTITUTION?

15 DR. WAGNER: FOR EXAMPLE, WE HAVE BREAST
16 CANCER RESEARCH FUNDS, WE HAVE CHILDREN'S LEUKEMIA
17 FUNDS THAT ARE ASSOCIATED WITH THE INSTITUTION.

18 MS. DELAURENTIS: LIKE PEDIATRIC THAT WE FUND
19 AT UCLA. WE'VE FUNDED INSTITUTIONS. WOULD THAT
20 PRECLUDE SOMEONE FROM THAT? I DON'T THINK IT SHOULD.

21 MR. SHEEHY: WE SHOULD EXCLUDE SOMEONE WHO
22 HAS A PATIENT RELATIONSHIP WITH THE INSTITUTION OR
23 COULD RECEIVE FINANCIAL BENEFIT. IF WE LIMIT IT TO --

24 DR. PRIETO: WELL, WE'RE ALREADY SAYING THAT
25 WITH NO FINANCIAL INTEREST IN THE RESEARCH.

1 CO-CHAIR LANSING: WAY CAN'T YOU JUST RECUSE
2 YOURSELF FROM CERTAIN THINGS WHEN IT COMES TO THAT,
3 WHEN IT COMES TO VOTING? YOU ARE NOT GOING TO BE ABLE
4 TO FIND SOMEBODY.

5 CO-CHAIR LO: AGAIN, WE MAY HAVE CONFOUNDED
6 TWO DIFFERENT CATEGORIES IN THE COMMON RULE FOR IRB'S,
7 COMMUNITY MEMBERS AND NONAFFILIATED MEMBERS
8 AND NONAFFILIATED. WE MAY BE CONFUSING. IF WE JUST
9 ARE GETTING PEOPLE ARE WHO NOT SCIENTISTS, THEN THAT'S
10 ONE THING. IF WE'RE TRYING TO PEOPLE WHO AREN'T
11 OTHERWISE AFFILIATED IN THE SENSE THAT THEY'RE REALLY
12 INDEPENDENT OF THE INSTITUTION, AND THE ARGUMENT IS
13 THAT, NOW, IF I'VE DONATED MONEY TO AN INSTITUTION AND
14 SORT OF WORKED AS A VOLUNTEER, I HAVE A LOYALTY TO THAT
15 INSTITUTION THAT MAY PREVENT ME FROM BEING AS CRITICAL
16 AS ONE MIGHT LIKE.

17 I THINK THAT'S THE NOTION OF THE IRB OF NOT
18 OTHERWISE AFFILIATED. NOW, WE MAY NOT WANT THAT HERE.
19 BUT I THINK WE NEED TO MAYBE THINK THROUGH A LITTLE BIT
20 MORE. I'M HEARING STRONG SUPPORT FOR HAVING A PATIENT
21 ADVOCATE, AND I'M NOT QUITE SURE NOW WHETHER WE'RE
22 SAYING THE OTHER SKILL IS THE LAY PERSPECTIVE OR THE
23 NONAFFILIATED PERSPECTIVE.

24 DR. TAYLOR: I RECOGNIZE THE CONFLICT OF
25 INTEREST ISSUES, BUT, AGAIN, IT SEEMS TO ME THAT ONE OF

1 THE MOST IMPORTANT FUNCTIONS OF THE ESCRO IS GOING TO
2 BE A CONSISTENT, COMMITTED RELATIONSHIP TO SORT OF
3 TRACK THE PROTOCOLS AND FOLLOWING THE EVOLUTION OF STEM
4 CELL RESEARCH. I THINK AT SOME LEVEL WE MAY BE BETTER
5 SERVED BY PEOPLE WHO ACTUALLY DO HAVE THE COMMITMENT TO
6 THE INSTITUTION. AND THERE MAY BE MORE RISKS OF HAVING
7 A TRANSIENT COMMITTEE. I THINK THE IDEA OF THESE
8 PEOPLE KIND OF COMING AND GOING AS THE EXPERTISE IS
9 REQUIRED IS MAYBE A DANGEROUS MODEL.

10 CO-CHAIR LO: TRACKING MAY NOT BE DONE BY THE
11 COMMITTEE MEMBERS, BUT BY STAFF. THE TRACKING IS
12 RECORDKEEPING, AND COMMITTEE MEMBERS ARE PROBABLY NOT
13 THE ONES DOING THE TRACKING.

14 I WANT TO MAKE SURE IT'S A QUESTION OF
15 ADVOCACY IS SORT OF A DIFFERENT DIMENSION AFFILIATED OR
16 NONAFFILIATED OR LAY VERSUS SCIENTIFIC EXPERT. I HAVE
17 AN ADVOCATE WHO'S EITHER AFFILIATED OR NOT AFFILIATED
18 OR A SCIENTIST.

19 DR. PRIETO: I'M TRYING TO IMAGINE HOW THIS
20 WOULD PLAY OUT, AND I'M THINKING OF AT LEAST A COUPLE
21 OF US, JEFF AND HIS RELATIONSHIP WITH UCSF, AND MINE
22 WITH UC DAVIS. I RECEIVE NO SALARY; JEFF DOES FROM THE
23 INSTITUTION, BUT I HAVE A RELATIONSHIP, AND I'VE
24 ACTUALLY BEEN A PATIENT THERE, SO THAT WOULD RULE ME
25 OUT. I THINK WE NEED TO DEFINE WHAT IS THE LINE AND

1 WHO IS ELIGIBLE AND WHO'S NOT.

2 CO-CHAIR LO: SOMEONE WANT TO MAKE A
3 PROPOSAL?

4 MS. DELAURENTIS: COULD I JUST ASK WHAT'S THE
5 DOWNSIDE? WHAT'S THE POTENTIAL CONFLICT OR THE
6 POTENTIAL PR ISSUE OF YOU WHO ARE NOT PAID A SALARY
7 BEING PART OF THE ESCRO AS A PATIENT ADVOCATE?

8 DR. PRIETO: I THINK THE POINT THAT BERNIE
9 BROUGHT UP AND JEFF'S POINT, THAT PERHAPS THE ADVOCATE
10 OR THE LAY REPRESENTATIVE WOULD HOPE TO DERIVE SOME
11 FAVORABLE CONSIDERATION OR BE TREATED MORE FAVORABLY BY
12 THE INSTITUTION.

13 MS. DELAURENTIS: HOW DO YOU DEFINE THAT IN
14 REALITY? THE FLIP SIDE OF IT IS THAT IF YOU HAVE A
15 VESTED INTEREST IN THE INSTITUTION, YOU COULD BE MORE
16 CRITICAL BECAUSE YOU WANT THE INSTITUTION TO PERFORM IN
17 THE HIGHEST POSSIBLE WAY INSTEAD OF --

18 DR. PRIETO: THAT WOULD BE IDEAL, YEAH. I'M
19 NOT SURE EVERYONE WOULD BEHAVE THAT WAY.

20 MS. DELAURENTIS: THAT'S HUMAN NATURE ON ALL
21 THE PEOPLE.

22 DR. PRIETO: I'M KIND OF THINKING OUT LOUD
23 HERE. I'M NOT SURE WHAT THE ANSWER IS.

24 MS. DELAURENTIS: I CAN'T PICTURE A SITUATION
25 LIKE THAT.

1 DR. PRIETO: YOU DON'T THINK THERE WOULD BE A
2 PROBLEM?

3 CO-CHAIR LO: THERE HAVE BEEN SEVERAL
4 EGREGIOUS EXAMPLES OF IRB'S APPROVING PROJECTS THAT IN
5 RETROSPECT WERE VERY, VERY ETHICALLY FLAWED. ONE OF
6 THE CONCERNS THAT'S BEEN RAISED IS THAT IRB'S ARE TOO
7 INBRED, THAT THEY'RE PEOPLE PRIMARILY FROM THE SAME
8 INSTITUTION, THAT OFTEN THE SO-CALLED PUBLIC MEMBERS
9 ALSO HAVE SOME SORT OF INDIRECT AFFILIATION. THEY'RE
10 NOT TAKING THAT FRESH LOOK, AND THEY'RE NOT BEING AS
11 CRITICAL. SUSAN, I THINK YOU SAID OUR HOPE WOULD BE
12 THAT PEOPLE WHO LOVE THE INSTITUTION ARE THE MOST
13 CRITICAL, BUT, IN FACT, THERE HAVE BEEN CASES IN WHICH
14 APPARENTLY THE ALLEGATION HAS BEEN RAISED THAT PEOPLE
15 WERE TOO CLOSE AND WANTING THE RESEARCH TO PROCEED AND
16 REALLY DIDN'T OPEN THEIR EYES TO PROBLEMS.

17 MS. DELAURENTIS: BUT ISN'T EVERY ESCRO
18 MEMBER GOING TO BE AFFILIATED WITH THAT PARTICULAR
19 INSTITUTION ANYWAY?

20 DR. PRIETO: TO LOOK AT THIS FROM ANOTHER
21 ANGLE, IS IT REALLY GOING TO BE A BURDEN ON THE
22 INSTITUTION TO FIND A PATIENT ADVOCATE AND LAY
23 REPRESENTATIVES WHO ARE NOT AFFILIATED? I'M NOT SURE.
24 IT DOES ENSURE MORE INDEPENDENCE.

25 MS. CHARO: IT MAY BE THAT WE WANT TO TRY TO

1 WORK THIS OUT IN A DRAFTING SESSION. I THINK
2 NONAFFILIATED IS PROBABLY THE RIGHT LANGUAGE. WE MIGHT
3 WANT TO ADD IN THE DEFINITIONAL SECTION A DEFINITION OF
4 AFFILIATED. IT MAY BE WORTH OUR WHILE TO FIRST CHECK
5 ON OTHER PLACES WHERE THAT'S BEEN DEFINED TO MAKE SURE
6 WE'RE NOT GOING AWAY FROM STANDARD DEFINITIONS. I
7 SUSPECT THAT THEY CIRCLE AROUND THE FOLLOWING THINGS
8 THAT WE CAN USE. THAT AFFILIATED MEANS EMPLOYED BY, IN
9 A CONTRACTUAL RELATIONSHIP WITH, OR HAVING A FORMAL
10 ONGOING APPOINTMENT WITH. THAT WOULD BE ACADEMIC
11 INSTITUTIONS THAT MAKE CLINICAL PHYSICIANS, FOR
12 EXAMPLE, PART OF THEIR DEPARTMENTS EVEN IF THEY'RE NOT
13 ON THE PAYROLL.

14 THAT WOULD NOT INCLUDE PEOPLE WHO ARE MEMBERS
15 IN A VOLUNTEER CAPACITY OF AD HOC COMMITTEES, WHICH I
16 DON'T THINK EVER IN COMMON PARLANCE UNDERSTANDS TO MEAN
17 AFFILIATED WITH, WHICH I THINK ADDRESSES YOUR CONCERN.

18 I WOULD SUGGEST IF WE HAVE A FAIRLY SHARED
19 UNDERSTANDING OF WHAT WE'RE TRYING TO GET AT, THAT WE
20 MIGHT TAKE A LITTLE TIME BEHIND THE SCENES TO JUST
21 CHECK AND SEE IF THERE ARE DEFINITIONS THAT ESSENTIALLY
22 CONTROL ANYWAY THAT WE MIGHT BORROW, ONCE AGAIN, TRYING
23 TO NOT HAVE US OUT OF STEP WITH CALIFORNIA LAW AND
24 REGULATION IN GENERAL.

25 CO-CHAIR LO: WHAT I'M HEARING ALTA SAY IS

1 THAT WE USE THE TERM "AFFILIATED" IN THE REGULATION,
2 AND THEN TRY AND FLESH IT OUT IN THE DEFINITION
3 SECTION, THAT WHAT WE WANT TO EXCLUDE ARE PEOPLE WHO
4 ARE EMPLOYEES OF THE INSTITUTION, THAT HAVE CONTRACTUAL
5 RELATIONSHIPS AS INDIVIDUAL CONTRACTORS. NOW, THE
6 ONGOING APPOINTMENT, THAT WOULD APPEAR TO ME TO EXCLUDE
7 SOMEONE LIKE FRANCISCO WHO HAS A CLINICAL APPOINTMENT
8 NOT FOR SALARY.

9 MS. CHARO: THAT'S RIGHT. I THINK THAT MIGHT
10 BE FAIR BECAUSE THAT TOUCHES ON YOUR POINT, BERNIE,
11 THAT THE CONCERN IS THAT, IN A SENSE, YOU ARE TRYING TO
12 GET NOT ONLY AT FINANCIAL CONFLICTS, BUT ALSO AT KIND
13 OF THE INTERPERSONAL LOYALTIES THAT COME FROM JUST
14 WORKING REGULARLY TOGETHER AND FEELING LIKE YOU'RE PART
15 OF THEIR TEAM.

16 CO-CHAIR LO: BUT IT WOULD EXCLUDE --
17 AFFILIATED MEMBERS MAY INCLUDE VOLUNTEERS AT THE
18 INSTITUTION.

19 MS. CHARO: THESE LINES ARE BLURRY, AND NONE
20 OF THEM ARE PERFECT.

21 MS. FEIT: THERE'S A REAL EMPHASIS TODAY ON
22 ANY BOARDS WITH PEOPLE COMING IN FROM EITHER THE
23 COMMUNITY OR WITHIN AN ORGANIZATION AND WANTING TO HAVE
24 MEMBERSHIP ON A BOARD, THAT THERE'S A PROCESS FOR
25 DECLARED CONFLICTS OF INTEREST. AND THAT ALLOWS THE

1 BOARD TO REALLY LOOK AT AN INDIVIDUAL AND SAY IS THERE
2 A CONFLICT OF INTEREST. THEY MUST DECLARE ANY
3 RELATIONSHIP OR ACKNOWLEDGE ANY APPOINTMENT OR
4 ANYTHING. AND THEN IT'S UP TO THE BOARD TO DECIDE IS
5 THAT A CONFLICT OF INTEREST. THE IDEA IS TO HAVE A
6 PROCESS. IT'S ACTUALLY A WRITTEN DOCUMENTATION THAT
7 EACH INDIVIDUAL SERVING ON THE BOARD HAS DECLARED THEIR
8 CONFLICTS OF INTEREST WITH WHATEVER BOARD THEY'RE
9 SERVING ON.

10 I THINK THAT WAY YOU TAKE INTO CONSIDERATION
11 ALL THE NUANCES THAT GO ON. OTHERWISE, YOU ELIMINATE
12 THE WORLD AND YOU HAVE TROUBLE FINDING YOUR BOARD. AND
13 SO IT MAY BE APPROPRIATE, ALTHOUGH HE SERVES AT UC
14 DAVIS, HAS AN APPOINTMENT, BUT IF HE'S ASKED TO SERVE
15 ON A PARTICULAR RESEARCH BOARD, IT MAY BE UNRELATED TO
16 HIS APPOINTMENT, AND THEY MAY SAY HE'S A VERY GOOD
17 CANDIDATE AND WE NEED HIM. AS LONG AS IT'S DECLARED,
18 IT'S MADE OBVIOUS AND PUBLIC TO EVERYBODY, THEN I THINK
19 INDEPENDENT DECISIONS CAN BE MADE AS TO THE
20 QUALIFICATIONS OF THOSE INDIVIDUALS.

21 CO-CHAIR LO: SO YOU ARE SUGGESTING THAT WE
22 REQUIRE EACH INSTITUTION TO ASK ALL MEMBERS ON THE
23 COMMITTEE, I THINK, TO DECLARE CONFLICTS OF INTEREST
24 AND HAVE A PROCESS IN PLACE FOR DETERMINING WHETHER
25 THOSE CONFLICTS PRECLUDE SERVING ON THE COMMITTEE OR

1 REQUIRE RECUSAL ON A PARTICULAR CASE.

2 MS. FEIT: I WOULD BE SURPRISED IF THEY
3 DIDN'T HAVE THEM NOW. MOST INSTITUTIONS ARE MOVING IN
4 THAT DIRECTION THROUGH ADVICE OF THEIR LEGAL COUNSEL.

5 CO-CHAIR LO: IT SOUNDS LIKE IT WOULD BE
6 WORTH REQUIRING AS A GOOD PRACTICE.

7 MR. TOCHER: MY CONCERN WITH CONFLICT OF
8 INTEREST IS THAT IT'S BIT OF A TERM OF ART. THERE ARE
9 CONFLICTS OF INTEREST UNDER THE POLITICAL REFORM ACT,
10 WHICH PROBABLY WOULD NOT APPLY, WHICH MAY OR MAY NOT
11 APPLY IF THESE ARE STATE INSTITUTIONS, WHICH WOULD
12 GOVERN WHAT A CONFLICT OF INTEREST IS. THE
13 INSTITUTIONS MAY HAVE THEIR OWN DEFINITIONS OF WHAT A
14 CONFLICT OF INTEREST IS. THAT MAY DIFFER FROM
15 INSTITUTION TO INSTITUTION. SO YOU MIGHT HAVE A
16 DISCREPANCY THERE AS TO A PERSON WHO PARTICIPATES IN
17 ONE INSTITUTION ON ONE PROGRAM MIGHT NOT BE ABLE TO IN
18 ANOTHER. I JUST THROW THAT OUT.

19 IT MAY NOT NECESSARILY BE A UNIFORM SYSTEM OF
20 GUIDANCE. NOT ALL CONFLICTS OF INTEREST ARE REPORTED
21 PUBLICLY, SUCH AS DISCLOSURE DOCUMENTS AND THAT SORT OF
22 THING. SO I DON'T KNOW HOW IMPORTANT THAT IS, BUT I
23 JUST THROW THAT OUT. JUST KEEP THAT IN MIND.

24 CO-CHAIR LO: WELL, THIS MAY BE ONE OF THOSE
25 ISSUES THAT WOULD NOT BE WISE TO TRY AND SETTLE TODAY.

1 I THINK WE PROBABLY SHOULD JUST GO IN THERE WITH SOME
2 LANGUAGE THAT TRIES TO CAPTURE THE SPIRIT OF WHAT WE'RE
3 TRYING TO DO. IF IT DOESN'T PASS OAL MUSTER, I THINK
4 WE HAVE A CHANCE -- THERE WILL BE PUBLIC COMMENT FOR
5 THE PUBLIC TO COMMENT. I THINK WE HAVE SOME
6 OPPORTUNITIES TO REVISE TO SATISFY THE OAL.

7 IT STRIKES ME THAT, ESPECIALLY GIVEN WHAT
8 SCOTT AND MARCY HAVE SAID ABOUT A LOT OF OTHER WORK
9 GOING ON IN SORT OF SELECTING MEMBERS OF BOARDS THAT
10 GIVE ADVICE, THAT WE NOT TRY AND CRAFT SOMETHING HERE
11 THAT DOESN'T TAKE ADVANTAGE OF WHAT EXPERIENCE IS. AND
12 I THINK WE HAVE A SENSE OF WHERE WE WANT TO GO, BUT THE
13 WORDS AND DETAILS ARE NOT QUITE THERE.

14 CO-CHAIR LANSING: CAN WE IN OUR
15 RECOMMENDATION PUT A SENTENCE IN THAT SAYS -- I KNOW
16 THIS SOUNDS A LITTLE SELF-SERVING, BUT ACTUALLY -- YOU
17 KNOW, IN ADDITION TO A NONAFFILIATED MEMBER, WE SAID
18 WHENEVER POSSIBLE A PATIENT ADVOCATE WOULD BE DESIRABLE
19 OR SOMETHING.

20 CO-CHAIR LO: I ACTUALLY HEARD SOMETHING
21 STRONGER. I THOUGHT THEY WERE SAYING THERE MUST BE --
22 LET'S GET A SENSE OF THAT. HOWEVER WE DEFINE PATIENT
23 ADVOCATE, WE HAVEN'T REALLY DONE SO YET. DO WE WANT TO
24 SAY THE SCRO MUST HAVE A PATIENT ADVOCATE, OR WE DEFINE
25 THAT.

1 DR. KIESSLING: I THINK THAT'S A GOOD IDEA.
2 CO-CHAIR LO: YEAH. I WOULD LIKE TO JUST
3 TAKE A VOTE. SOMEONE WANT TO MOVE THAT?
4 DR. KIESSLING: I SO MOVE.
5 CO-CHAIR LO: THAT WE INCLUDE A PATIENT
6 ADVOCATE AS A REQUIRED SCRO MEMBER. AND SECOND ON
7 THAT? DO WE HAVE --
8 MS. CHARO: SECOND.
9 CO-CHAIR LO: WE DON'T HAVE A QUORUM. WE
10 LOST SOMEBODY. JON WOULD CERTAINLY WANT TO HAVE A
11 PATIENT ADVOCATE. WE CAN'T ASSUME THAT.
12 MS. CHARO: I THINK FROM NOW ON ANYBODY THAT
13 GOES TO THE BATHROOM HAS TO LEAVE A PROXY.
14 CO-CHAIR LO: SO WE CAN'T OFFICIALLY DO THAT.
15 MY SENSE IS THAT PEOPLE HERE, THAT'S OUR STRONG
16 SENTIMENT WITH NO OBJECTION.
17 ALL RIGHT. THIS IS SOMETHING WE'LL TRY
18 AND -- I THINK AT THIS POINT WE SHOULD SORT OF PUT IN
19 ASPIRATIONAL LANGUAGE SO THAT IT'S CLEAR WHAT WE'RE
20 HEADING TOWARDS, AND THEN TRY TO WORK OUT THE LANGUAGE
21 AND DETAILS LATER. IT MAY NOT BE POSSIBLE FOR THE
22 FEBRUARY 2D ICOC.
23 MR. TOCHER: I THINK ALTA'S POINT IS WELL
24 TAKEN ABOUT DEFINING AFFILIATED MORE SPECIFICALLY. AND
25 THERE ARE -- THE TERM IS DEFINING OTHER AREAS OF LAW

1 WHICH MAY BE FRUITFUL TO SORT OF CULL FROM. AND I
2 WOULD RECOMMEND ACTUALLY PUTTING THE DEFINITION IN THE
3 SUBDIVISION ITSELF AS OPPOSED TO EVEN IN THE
4 DEFINITION.

5 CO-CHAIR LO: OKAY. FINE. JON, THERE'S A
6 MOTION ON THE FLOOR THAT THE SCRO COMMITTEE HAVE A
7 PATIENT ADVOCATE ON IT AT EACH INSTITUTION. IT'S BEEN
8 MADE AND SECONDED, AND WE'RE GOING TO CALL FOR A VOTE.

9 CO-CHAIR LANSING: WE NEEDED YOUR VOTE.

10 CO-CHAIR LO: WE THOUGHT THIS WOULD BE OF
11 PARTICULAR INTEREST TO YOU. SINCE THE MOTION HAS BEEN
12 MADE, CAN I ASK FOR A SHOW OF HANDS. ALL THOSE IN
13 FAVOR OF REQUIRING SCRO'S HAVE A PATIENT ADVOCATE.
14 PRETTY MUCH EVERYBODY. NOT JANET. ARE YOU ABSTAINING
15 OR OPPOSING?

16 DR. ROWLEY: I HAVE CONCERNS THAT A PATIENT
17 ADVOCATE FOR ONE PARTICULAR TYPE OF RESEARCH MAY BE
18 INFLUENCED IN THEIR ASSESSMENT OF THE RESEARCH BY
19 WHETHER IT'S RELATED TO THEIR AREA OR NOT. I'M GOING
20 TO ABSTAIN.

21 MR. SHESTACK: DO YOU MEAN SPECIFICALLY OR IN
22 GENERAL? THERE'S ONE SPECIFIC TYPE OF RESEARCH WHERE
23 THIS IS A CONCERN?

24 DR. ROWLEY: IT WOULD JUST BE IN GENERAL.

25 CO-CHAIR LANSING: IN GENERAL.

1 DR. ROWLEY: IF YOU WANT YOUR AREA OF
2 RESEARCH, JUVENILE DIABETES, THEN YOU WILL BE ALL IN
3 FAVOR OF ANY RESEARCH PROJECT THAT COMES THROUGH
4 RELATED TO THAT. BUT IF YOU WERE INTERESTED IN
5 PARKINSON'S -- IF IT'S RELATED TO PARKINSON'S DISEASE,
6 YOU MAY VIEW THAT THROUGH DIFFERENT EYES. I DON'T
7 KNOW. THAT'S WHY I'M ABSTAINING.

8 MR. SHESTACK: I WOULD LIKE TO ADDRESS THIS.
9 I'VE ADDRESSED IT BEFORE. AND IT'S A SORT OF
10 PARTICULARLY ANNOYING POINT, SO I WOULD ACTUALLY --
11 PEOPLE COME SAY IT BEFORE -- HAVE SAID IT BEFORE.

12 THERE IS -- I BELIEVE THERE IS NO JEALOUSY
13 BETWEEN THE ADVOCATES. IT IS NOT A COMPETITIVE SPORT.
14 WHAT IS LIKELY TO HAPPEN IS THAT YOU WILL HAVE AN
15 ADVOCATE ON A COMMITTEE WITH A BUNCH OF PEOPLE WHO WILL
16 SAY, WELL, GEE, THERE'S NO BENEFIT FROM THIS RESEARCH,
17 AND ACTUALLY IT'S INVASIVE BECAUSE IT INVOLVES A BUCCAL
18 SWAB; THEREFORE, LET'S NOT VOTE FOR THIS RESEARCH
19 PROJECT. AND YOU NEED AN ADVOCATE TO SAY,
20 PARTICULARLY, FOR INSTANCE, MY AREA WOULD BE ADVOCATE
21 OF VULNERABLE POPULATIONS, TO SAY, NO, THAT'S OKAY.

22 CERTAINLY WE HAVE NO EXPERIENCE OF ADVOCATES
23 SAYING I DON'T THINK I'M GOING TO VOTE FOR THAT
24 DIABETES THING BECAUSE A PARKINSON'S THING WHICH
25 AFFECTS ME MIGHT COME UP NEXT WEEK. IT'S REALLY SORT

1 OF -- IT DOESN'T COME UP, IT PROBABLY WON'T, AND IT
2 SORT OF TAKES THE CUSTOMER OF THE RESEARCH AND CASTS
3 THEM REALLY IN THE WORST POSSIBLE LIGHT. AND WE'RE
4 HERE TO SERVE THAT CUSTOMER, SO I THINK IT IS WORTH
5 STATING IT AGAIN.

6 IF YOU WANT TO ADD A STIPULATION THAT THE
7 ADVOCATE IDENTIFY THAT THEY HAVE AN ACTUAL INTEREST IN
8 THAT DISEASE RESEARCH AND IDENTIFY IT VOCALLY TO THE
9 ESCRO COMMITTEE OR EVEN RECUSE HIMSELF, THAT'S FINE.
10 BUT TO THINK THAT THEY ARE THAT PETTY IS KIND OF -- I
11 THINK IT'S JUST INCORRECT THINKING.

12 DR. ROWLEY: OKAY. BUT WE'RE ACCUSING
13 SCIENTISTS ON THE ESCRO OF BEING EQUALLY PETTY. SO --

14 MR. SHEEHY: COULD I RAISE THIS POINT AS
15 SOMEONE WHO'S LIVING --

16 MR. SHESTACK: NOT FOR THE SAME REASONS
17 ACTUALLY.

18 MR. SHEEHY: AS SOMEONE WHO'S LIVING WITH
19 HIV, WHOSE FATHER HAS ALZHEIMER'S, WHOSE MOTHER HAS
20 CANCER, WHOSE MAIN HEALTH RISK IS CARDIOVASCULAR
21 DISEASE DUE TO SIDE EFFECTS FROM HIV MEDICATIONS, I
22 THINK TO SOMEHOW SUGGEST THAT CHRONIC DISEASE -- THAT
23 ANYBODY IS ONLY IMPACTED IN AMERICAN SOCIETY BY CHRONIC
24 DISEASE AND WOULD ONLY ADVOCATE FOR THAT DISEASE SEEMS
25 A LITTLE SPECIOUS.

1 WHAT YOU'RE LOOKING FOR IS THE EXPERIENCE OF
2 LOOKING FROM THE PERSPECTIVE OF SOMEONE IMPACTED BY
3 CHRONIC DISEASE AND REPRESENTING THAT VIEW. I DON'T
4 THINK THAT YOU COULD FIND ANYBODY IN THIS ROOM WHO'S
5 ONLY BEEN AFFECTED BY ONE MAJOR DISEASE AND WOULD ONLY
6 CARE ABOUT THAT DISEASE TO THE EXCLUSION OF ALL OTHERS.

7 CO-CHAIR LO: I'M GOING TO ASK --

8 MR. SHEEHY: AND BY THE WAY, TO BE A DISEASE
9 ADVOCATE IS TO MAKE A SACRIFICE AND IS RARELY EVER
10 REMUNERATED, SO YOU'RE TALKING ABOUT SOME SORT -- THERE
11 IS SOME ALTRUISTIC IMPULSE TO BEGIN WITH; WHEREAS,
12 SCIENTISTS GET FAME AND FORTUNE. THERE'S NO NOBEL
13 PRIZE FOR DISEASE ADVOCACY.

14 DR. KIESSLING: WANT TO TALK ABOUT THE
15 FORTUNE PART?

16 CO-CHAIR LO: I'M GOING TO ASK THAT WE
17 CONTINUE THIS CONVERSATION OFFLINE. I THINK WE DO NEED
18 TO ACKNOWLEDGE THAT SCIENTISTS, ADVOCATES, OTHER
19 MEMBERS OF THE PUBLIC ALL HAVE VERY IMPORTANT ROLES TO
20 PLAY HERE, AND ALL OF US BEING HUMAN ARE IN DANGER OF
21 SORT OF OVERSTEPPING OR BEING BLINDED, BUT I THINK WE
22 ALL HAVE GOOD INTENTIONS.

23 CO-CHAIR LANSING: I DO THINK YOU CAN RECUSE
24 YOURSELF. I THINK THAT PATIENT ADVOCATES ARE AS
25 SENSITIVE AS SCIENTISTS ARE, AND I KNOW THAT WE'VE ALL

1 BEEN IN SITUATIONS WHERE WE HAVE RECUSED OURSELVES.
2 AND I THINK THE INTEGRITY OF THE SCIENTISTS AND THE
3 PATIENT ADVOCATE IS THE SAME, AND I THINK WE WOULD
4 RECUSE OURSELVES FROM ANY POTENTIAL CONFLICTS.

5 DR. PRIETO: I THINK I WOULD ALSO ECHO WHAT
6 MARCY SAID EARLIER ABOUT BEING IN FAVOR OF VERY STRONG
7 CONFLICT OF INTEREST POLICIES. AND THE PATIENT
8 ADVOCATES, LIKE ANYONE ELSE, NEED TO REVEAL THEIR
9 CONFLICTS.

10 CO-CHAIR LO: OKAY. ONE LAST THING I WANT TO
11 DO IS JUST CALL YOUR ATTENTION TO 100006, WHICH WE
12 TALKED ABOUT AT PREVIOUS MEETINGS. THE ONLY CHANGES
13 THERE ARE TO BRING IT IN LINE WITH NEW TERMINOLOGY SUCH
14 AS COVERED STEM CELL LINES AND, TWO, APPROPRIATE,
15 AGAIN, WAS ONE OF THOSE RED-FLAG WORDS THAT THE OAL
16 DELETED. UNLESS THERE ARE OBJECTIONS TO THAT SECTION
17 THAT NEEDS TO BE REOPENED, I WOULD SUGGEST THAT WE
18 ACCEPT WHAT WE DID BEFORE.

19 DO I NEED TO HAVE AN OVERALL MOVEMENT TO
20 APPROVE?

21 MR. TOCHER: YOU MEAN THE OVERALL DOCUMENT?
22 YEAH. OR TO SEND IT FORWARD.

23 CO-CHAIR LO: COULD I HAVE A MOTION, THEN, TO
24 TAKE THE AMENDED DOCUMENT AS TO BE WORD CRAFTED BY
25 LEGAL COUNSEL AND STAFF TO MAKE IT PRESENTABLE TO THE

1 OAL, THAT WE ACCEPT THIS?

2 CO-CHAIR LANSING: MOVE IT FORWARD TO THE
3 ICOC. SO MOVED.

4 CO-CHAIR LO: PRESENTED TO THE ICOC.

5 DR. PRIETO: SECOND.

6 CO-CHAIR LO: WHO'S THE SECOND?

7 DR. PRIETO: I AM.

8 DR. KIESSLING: THIS ENTIRE DOCUMENT?

9 CO-CHAIR LO: THE ENTIRE DOCUMENT. ALL THE
10 THINGS WE TALKED ABOUT --

11 CO-CHAIR LANSING: WE'RE NOW VOTING ON THE
12 ENTIRE DOCUMENT, EVERYTHING WE TALKED ABOUT.

13 CO-CHAIR LO: ALL THE THINGS WE TALKED ABOUT
14 THE LAST TWO DAYS, WE NEED TO MOVE IT TO THE ICOC WITH
15 THE UNDERSTANDING THAT -- WE VOTED ON INDIVIDUAL
16 SECTIONS. WE NEED A FORMAL MOTION TO TAKE THE WHOLE
17 THING TO THE ICOC.

18 CO-CHAIR LANSING: BASICALLY WHAT WE'RE DOING
19 IS ALL THE WORK THAT WE'VE DONE OVER THE TWO DAYS OR
20 WHATEVER, WE'RE NOW MOVING TO HAVE THIS CRAFTED
21 PROPERLY AND MOVED FORWARD TO THE ICOC WITH THE CHANGES
22 THAT WE TALKED ABOUT, CORRECT.

23 DR. WAGNER: OTHERWISE ARE YOU SAYING THAT
24 WE'RE DONE?

25 CO-CHAIR LO: WE'RE DONE FOR THIS STAGE.

1 CO-CHAIR LANSING: WE ALSO HAVE TO OPEN IT UP
2 TO THE PUBLIC.

3 DR. WAGNER: THERE'S SOME QUESTIONS ABOUT
4 DIFFERENT SECTIONS THAT I STILL WANT TO ASK. IS THAT
5 APPROPRIATE FOR NOW OR FOR LATER?

6 CO-CHAIR LO: DO IT NOW BECAUSE WE CAN'T --
7 UNLESS WE'RE WILLING -- SHERRY HAS TO LEAVE. SO THE
8 QUESTION IS WE CAN'T MOVE ANYTHING TO THE ICOC UNLESS
9 WE APPROVE IT TODAY AS A GROUP.

10 DR. WAGNER: THE QUESTION I HAVE, AND IF I
11 MISSED IT, I APOLOGIZE. IT IS SECTION 100008,
12 INFORMED CONSENT REQUIREMENTS. AND THAT ONLY RELATED
13 TO THE FACT THAT WE SPENT A LOT OF TIME TALKING ABOUT
14 GAMETE DONATION. IS THE WORDING OF THIS SECTION
15 COMPLETELY APPROPRIATE FOR EMBRYO DONATION? SO, FOR
16 EXAMPLE, AS FAR AS I KNOW, WE'VE NOT REALLY TALKED
17 ABOUT THE POSSIBILITY OF RETROSPECTIVE CONSENT AFTER
18 THEY'VE ALREADY DONATED FOR OTHER PURPOSES. MAYBE I
19 MISSED IT. BUT IN TERMS OF -- I SHOULDN'T SAY
20 RETROSPECTIVE CONSENT. OBVIOUSLY THEY'VE COLLECTED THE
21 EMBRYOS FOR OTHER REASONS, THEY'VE BEEN STORED --

22 CO-CHAIR LO: THEN YOU CAN CONSENT. THAT'S
23 FINE.

24 DR. WAGNER: -- TEN YEARS, WHATEVER. IS
25 THERE ANYTHING THAT WE NEED TO DO DIFFERENTLY FOR

1 EMBRYO DONATION, FOR EXAMPLE, THOSE FROM
2 PREIMPLANTATION GENETIC DIAGNOSIS? I'M JUST TRYING TO
3 MAKE SURE THAT WE HAVE NOT SKIPPED SOMETHING BECAUSE WE
4 SPENT SO MUCH TIME ON GAMETE DONATION. DID WE MISS
5 SOMETHING FOR SPECIFIC SUBPOPULATIONS OF EMBRYO
6 DONATION?

7 CO-CHAIR LO: I GUESS THE QUESTION IS IS THAT
8 SOMETHING WE CAN COME BACK TO? AGAIN, ALL WE'RE DOING
9 IS MOVING THIS FORWARD TO THE NEXT STEP. THERE'S A
10 PUBLIC COMMENT PERIOD, THERE'S A REVISION PERIOD. WE
11 CAN ALWAYS REVISE THIS AFTER THESE ARE PASSED. I'M
12 JUST TRYING TO MOVE THIS FORWARD. IF THERE'S AN
13 OMISSION -- IF THERE'S OMISSION, THAT'S OF LESS
14 CONCERN.

15 DR. WAGNER: RIGHT. FROM MY POINT OF VIEW,
16 THIS WOULD ONLY BE SOMETHING THAT WOULD BE ADDED LATER
17 ON IF THERE WAS SOMETHING WE MISSED.

18 CO-CHAIR LO: AS SHERRY SAID, THIS IS A WORK
19 IN PROGRESS. I DO NEED A FORMAL VOTE TO CARRY THIS
20 FORWARD.

21 MS. FEIT: WHEN WE MOVE THIS DOCUMENT UP TO
22 THE ICOC, THERE WILL BE DISCUSSION THERE. SO YOU COULD
23 RAISE YOUR QUESTIONS ABOUT THAT SECTION THEN.

24 MS. CHARO: I DON'T THINK ACTUALLY THERE'S A
25 PROBLEM THE WAY IT'S WRITTEN NOW. YOU HAVE TO GET

1 CONSENT AT THE MOMENT THAT THEY'RE RELEASING IT FOR
2 RESEARCH WHETHER IT WAS STORED FOR TEN YEARS OR WHETHER
3 IT WAS JUST CREATED THE DAY BEFORE. WE'RE OKAY, I
4 THINK.

5 DR. TAYLOR: JOHN, I WAS GOING TO SAY THIS IS
6 PRETTY GAMETE-CENTRIC, BUT ON PAGE 8 --

7 CO-CHAIR LO: IF IT'S JUST TO REASSURE JOHN,
8 I NEED A VOTE BECAUSE SHERRY NEEDS TO LEAVE. WITHOUT A
9 VOTE, WE CAN'T DO ANYTHING.

10 MS. CHARO: SO MOVED.

11 DR. EGGAN: SECOND.

12 CO-CHAIR LO: LET'S CALL THE QUESTION. I'M
13 GOING TO ASK YOU TO PUT YOUR HAND UP IF YOU AGREE TO
14 MOVING THESE FORWARD TO THE ICOC FOR THE NEXT MEETING.
15 THANK YOU VERY MUCH, LADIES AND GENTLEMEN.

16 (APPLAUSE.)

17 CO-CHAIR LO: FIRST, I WANT TO THANK MY
18 CO-CHAIR, SHERRY. AS SHE SAID VERY NICELY AT THE
19 BEGINNING, THIS IS THE END OF THE BEGINNING, THE FIRST
20 STEP, BUT WE ARE NOT DISBANDING. IN FACT, WE'RE GOING
21 TO CALL YOU FOR CALENDAR, AND WE'RE GOING TO CONTINUE
22 TO WORK TOGETHER TO MAKE THIS SET OF REGULATIONS AS
23 GOOD AS POSSIBLE. I WANT TO THANK THE MEMBERS OF THE
24 PUBLIC.

25 CO-CHAIR LANSING: I ALSO, BEFORE I LEAVE,

1 WANT TO THANK BERNIE, WHICH I THINK JUST DID THE MOST
2 EXTRAORDINARY JOB IN CONDUCTING ALL OF THIS. AND I
3 WANT TO THANK ALL OF YOU AGAIN. I WANT TO THANK THE
4 PUBLIC FOR ITS INCREDIBLE INPUT. YOU'VE BEEN WITH US
5 FROM THE BEGINNING, A LOT OF YOU, AND YOUR INPUT HAS
6 BEEN JUST EXTRAORDINARY. I HOPE YOU'RE SATISFIED, AS I
7 AM, WITH THE INTERCHANGE THAT WENT ON AND THE DEPTH OF
8 DISCUSSION.

9 AND JUST TO REMIND YOU THAT THIS DOCUMENT
10 GOES TO THE ICOC, WHICH IS NOT GOING TO RUBBER-STAMP
11 IT, AND IS GOING TO VIEW IT AND LOOK AT IT. AND I
12 THINK I SPEAK ON BEHALF OF ALL OF US WHEN I SAY WE
13 REALLY VALUE THEIR INPUT. AND THEN THERE WILL BE A
14 45-DAY PUBLIC COMMENT PERIOD AGAIN, AND WE REALLY VALUE
15 THAT. AND THEN WE WILL BE MEETING AGAIN, AND WE'RE
16 GOING TO SET UP A DATE, I GUESS, THE END OF APRIL OR
17 THE BEGINNING OF MAY IS WHAT WE'RE AIMING FOR, BUT
18 WE'RE GOING TO GET EVERYBODY'S SCHEDULES AND TRY AND
19 CIRCULATE THE TIME THAT WORKS BEST FOR EVERYBODY.

20 SO THANK YOU FOR NOT JUST TODAY, BUT FOR THE
21 HUNDREDS, MAYBE THOUSANDS OF HOURS IN BETWEEN ALL OF
22 THESE MEETINGS. ESPECIALLY THANK YOU TO BERNIE.

23 CO-CHAIR LO: MOTION TO ADJOURN.

24 MS. CHARO: SO MOVED.

25 DR. ROWLEY: SECOND.

1 CO-CHAIR LO: MEETING IS ADJOURNED. THANKS
2 VERY MUCH.

3 (THE MEETING WAS THEN CONCLUDED.)

4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE SCIENTIFIC AND MEDICAL ACCOUNTABILITY STANDARDS WORKING GROUPS OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

THE LUXE HOTEL
11461 SUNSET BOULEVARD
LOS ANGELES, CALIFORNIA
ON
TUESDAY, JANUARY 31, 2006

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152
BARRISTER'S REPORTING SERVICE
1072 S.E. BRISTOL STREET
SUITE 100
SANTA ANA HEIGHTS, CALIFORNIA
(714) 444-4100