

BEFORE THE
SCIENTIFIC AND MEDICAL ACCOUNTABILITY
STANDARDS WORKING GROUP
OF THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: SAN FRANCISCO COURTYARD DOWNTOWN
299 SECOND STREET
SAN FRANCISCO, CALIFORNIA

DATE: FRIDAY, APRIL 6, 2012
9 A.M.

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO.: 91988

BARRISTERS' REPORTING SERVICE

I N D E X

ITEM DESCRIPTION	PAGE NO.
INTRODUCTIONS & UPDATES	
1. WELCOME FROM CO-CHAIRS	3
2. CALL TO ORDER	3
ROLL CALL	6
3. STAFF REPORTS	
HESC UTILIZATION AND THE ROLE OF SCRO COMMITTEES: RESEARCH REPORT	8
UPDATE ON REGULATORY AMENDMENTS	27
PATIENT ADVOCATE PARTICIPATION IN CLINICAL RESEARCH DECISIONS	29
IOM REVIEW OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE	178
4. POLICY DELIBERATIONS	62
5. CIRM HUMAN PLURIPOTENTIAL STEM CELL BANKING INITIATIVE:	
SUMMARY OF FORTHCOMING BANKING REQUEST FOR APPLICATIONS	183
PERSPECTIVE ON IMPLEMENTATION OF CIRM CONSENT REQUIREMENTS FOR IPSC DERIVATION: DR. BIRGITT SCHUELE, THE PARKINSON'S INSTITUTE	198
DISCUSSION OF MODEL CONSENT AND INCORPORATION RECOMMENDATIONS FROM APRIL 2011 SWG MEETING	211
PRESENTATION BY NICOLE LOCKHART REGARDING RETURN OF RESEARCH RESULTS TO RESEARCH SUBJECTS OR DONORS	250

BARRISTERS' REPORTING SERVICE

1 SAN FRANCISCO, CALIFORNIA; FRIDAY, APRIL 6, 2012

2 9 A.M.

3
4 CHAIRMAN LO: DELIGHTED YOU ALL COULD
5 COME. WE HAVE AN INTERESTING AGENDA. COUPLE
6 HOUSEKEEPING DETAILS. FIRST, BECAUSE THIS IS BEING
7 TRANSCRIBED, I, AS SORT OF A REPEAT OFFENDER, HAVE
8 BEEN TASKED WITH REMINDING EVERYBODY TO SPEAK INTO
9 THE MIKE AND TO IDENTIFY YOURSELVES WHEN YOU ARE
10 SPEAKING SO WE CAN HAVE ACCURATE AND FULL
11 TRANSCRIPTS.

12 SINCE I HAVE BEEN INCORRIGIBLY REFRACTORY,
13 I'M NOW MIKED WITH ONE OF THESE THINGS. SO DON'T
14 COME UP TO ME AND WHISPER THINGS DURING BREAKS
15 BECAUSE IF YOU FORGET TO TURN IT OFF, IT WILL BE
16 BROADCAST TO THE ROOM, MUCH TO OUR EMBARRASSMENT.

17 I WANT TO WELCOME EVERYONE AND SAY, FIRST,
18 THAT SHERRY LANSING, OUR CO-CHAIR, IS UNABLE TO BE
19 HERE TODAY AND ASKED ME TO SEND HER REGRETS AND HER
20 WELCOME. WE'VE TALKED OVER THE AGENDA AND THE
21 THINGS WE'RE GOING TO DISCUSS WITH HER, AND SHE
22 LOOKS FORWARD TO HEARING THE RESULTS OF OUR
23 DELIBERATIONS.

24 I JUST WANT TO GIVE YOU A QUICK OVERVIEW
25 OF THE MEETING. WE WILL NOT HAVE A QUORUM. SO WHAT

BARRISTERS' REPORTING SERVICE

1 WE WILL DO IS NOT MAKE FORMAL RECOMMENDATIONS, BUT I
2 THINK WE DO WANT TO COMMUNICATE TO THE ICOC A SENSE
3 OF THE COMMITTEE AS TO HOW WE FEEL ABOUT THESE
4 ISSUES.

5 I WANT TO PARTICULARLY WELCOME SOME NEW
6 MEMBERS. JON THOMAS, IT'S HARD TO IMAGINE YOU AS
7 NEW BECAUSE YOU REALLY SORT OF JUMPED RIGHT IN AND
8 LEFT YOUR MARK ON THIS AGENCY. THIS IS ACTUALLY
9 YOUR FIRST SWG MEETING. WELCOME YOU ON BEHALF OF
10 THE MEMBERS AND HOW WE'RE LOOKING FORWARD TO WORKING
11 WITH YOU.

12 AND THEN WE DO HAVE TWO NEW MEMBERS DOWN
13 THATAWAY. JEFF BOTKIN FROM THE UNIVERSITY OF UTAH
14 AND NICOLE LOCKHART FROM NIH. SPECIAL GREETINGS TO
15 BOTH. WE HAD A SORT OF AN INTRODUCTORY MEETING LAST
16 NIGHT, AND WE LOOK FORWARD TO YOUR EXPERTISE AND
17 CONTRIBUTIONS.

18 I WANT TO FIRST JUST SAY THAT, AS WE
19 DISCUSSED A BIT LAST NIGHT, THE SWG HAS EVOLVED OVER
20 TIME. I THINK WHAT WE'RE TRYING TO DO IS KEEP UP TO
21 AND AHEAD OF THE BREAKING ETHICAL ISSUES AND GIVE
22 ADVICE TO THE ICOC AND TO THE LEADERSHIP, JON AND
23 HIS TEAM. AND I THINK AS WE LOOK BACK OVER WHAT HAS
24 HAPPENED IN THE PAST EIGHT YEARS, AND I THINK THIS
25 REALLY CAME HOME LAST NIGHT WHEN WE WERE TALKING

BARRISTERS' REPORTING SERVICE

1 ABOUT ALL THE WORK WE DID AT THE BEGINNING WITH
2 CONSENT FOR OOCYTE DONORS DONATING OOCYTES EXPRESSLY
3 FOR RESEARCH, ALL THE WORK WE PUT INTO WHAT CELL
4 LINES WERE ACCEPTABLE FOR CIRM FUNDING, WE NOW ARE
5 SORT OF LOOKING AT A NEW SET OF ISSUES. AND BECAUSE
6 OF THE MISSION OF CIRM UNDER JON AND ALAN TO REALLY
7 PUSH TOWARDS BRINGING STEM CELL DISCOVERIES INTO THE
8 CLINIC AND REALLY THINKING ABOUT CLINICAL TRIALS, WE
9 REALLY WANT TO MAKE SURE THAT WE HELP CIRM AS A
10 STANDARDS WORKING GROUP THINK THROUGH THE ISSUES
11 WITH REGARD TO, FIRST OF ALL, STEM CELL BANKING,
12 WHICH WE'RE GOING TO DISCUSS AT SOME LENGTH LATER
13 THIS MORNING, BUT ALSO EVENTUALLY TO CLINICAL
14 TRIALS.

15 SO THERE'S A COUPLE OF GOALS I'D LIKE TO
16 ACCOMPLISH TODAY. FIRST IS THERE ARE A NUMBER OF
17 AMENDMENTS, PROPOSED AMENDMENTS, TO THE REGULATIONS
18 I'D LIKE US TO THINK ABOUT AND GIVE OUR VIEWS TO THE
19 ICOC ON. I WOULD REGARD THESE AS PRIMARILY
20 TECHNICAL AMENDMENTS, BUT I THINK THEY WILL IMPROVE
21 HOW THE AMENDMENTS WORK.

22 AND THEN I'D LIKE TO SPEND THE BULK OF THE
23 MEETING TALKING ABOUT CIRM'S PROPOSAL FOR A BANKING
24 INITIATIVE WITH INDUCED PLURIPOTENT STEM CELLS. AND
25 WE'LL HEAR ABOUT SOME INTERESTING REQUESTS FOR

BARRISTERS' REPORTING SERVICE

1 APPLICATIONS, SOME EXPERIENCE WITH THE CIRM
2 REQUIREMENTS AND RECOMMENDATIONS FOR CONSENT FOR
3 IPSC DERIVATION.

4 THEN WE'RE GOING TO ASK NICOLE TO REALLY
5 HELP US THINK THROUGH ISSUES RELATED TO RETURN OF
6 RESULTS FROM THIS RESEARCH BACK TO THE ORIGINAL
7 DONORS. AND THAT'S A VERY COMPLICATED ISSUE THAT WE
8 WANT TO TRY AND UNDERSTAND.

9 SO WITH THAT, I GUESS WE'VE OFFICIALLY
10 CALLED THE MEETING TO ORDER. SINCE WE'RE NOT A
11 QUORUM, GEOFF, YOU JUST WANT TO NOTE WHO'S HERE FOR
12 THE RECORD.

13 DR. LOMAX: THANK YOU, BERNIE. FOR THE
14 RECORD, BERNARD LO, DOROTHY ROBERTS, ROBERT TAYLOR,
15 NICOLE LOCKHART, JEFFREY BOTKIN, JON THOMAS, I THINK
16 I SAW FRANCISCO PRIETO, TED PETERS, AND JOHN WAGNER.
17 I BELIEVE JEFF SHEEHY WILL BE HERE THIS MORNING AS
18 WELL.

19 CHAIRMAN LO: GREAT. JON, WHY DON'T YOU
20 GIVE US YOUR COMMENTS.

21 CHAIRMAN THOMAS: I WOULD JUST LIKE, ON
22 BEHALF OF THE BOARD, TO WELCOME EVERYBODY HERE.
23 THIS BODY HAS OVER THE YEARS HAD AN EXTREMELY
24 IMPORTANT ROLE IN THE ABILITY OF CIRM TO FUNCTION.
25 WHILE THE SCIENTIFIC CHALLENGES HAVE BEEN GREAT AND

BARRISTERS' REPORTING SERVICE

1 CONTINUE TO BE AND ARE ABLY BEING LOOKED AFTER BY
2 OUR SCIENCE STAFF, WE HAVE DR. FEIGAL AND DR. OLSON
3 AND A NUMBER OF OUR OTHER FOLKS HERE DOING A
4 WONDERFUL JOB, NONE OF THAT WOULD HAVE BEEN POSSIBLE
5 HAD THIS GROUP NOT BEEN ABLE TO ESTABLISH A SET OF
6 ETHICAL PARAMETERS THAT PASSED MUSTER AS LOOKED UPON
7 BY THE OUTSIDE WORLD. AND THE ISSUES WERE MANY, THE
8 ISSUES WERE THORNY, VERY COMPLICATED. AND UNDER THE
9 LEADERSHIP OF BERNIE AND THE DRAMATIC ROLE THAT ALL
10 MEMBERS OF THIS COMMITTEE PLAYED EARLY ON AND
11 CONTINUE TO PLAY AT THIS POINT, YOU'VE REALLY SET
12 THE TABLE. YOU GUYS ARE SORT OF THE SOUL OF THE
13 WHOLE UNDERTAKING. YOU SET THE TABLE FOR CIRM TO DO
14 WHAT IT'S DOING. AND I WANT TO CONGRATULATE YOU ON
15 THAT WORK, LET YOU KNOW HOW VALUABLE THE BOARD FEELS
16 THE WORK YOU'RE DOING IS.

17 WOULD LIKE TO ALSO GIVE A SPECIAL SHOUT
18 OUT TO GEOFF LOMAX FOR ALL HE HAS DONE TO HELP RUN
19 AND COORDINATE ALL OF THIS OVER THE YEARS. YOU GUYS
20 MAKE A GREAT TEAM. WE'RE DELIGHTED TO SEE MEMBERS
21 WHO HAVE BEEN HERE BEFORE. AND NEW MEMBERS,
22 WELCOME. THERE ARE NO SHORTAGE OF ISSUES IN THIS
23 AREA ON AN ONGOING BASIS, AND WE VERY MUCH
24 APPRECIATE AND HIGHLY VALUE WHAT YOU'RE DOING.

25 SO ON BEHALF OF THE BOARD, WELCOME AND

BARRISTERS' REPORTING SERVICE

1 THANK YOU VERY MUCH FOR ALL OF YOUR EFFORTS.

2 CHAIRMAN LO: THANKS, JON. LET'S GO AHEAD
3 AND HEAR SOME STAFF REPORTS AND UPDATES. AND,
4 GEOFF, I'M JUST GOING TO TURN IT OVER TO YOU TO SORT
5 OF BRING US UP TO DATE ON A NUMBER OF ISSUES HERE.

6 DR. LOMAX: THANKS VERY MUCH. ONE OF THE
7 THINGS I'D LIKE TO PRESENT TO YOU THIS MORNING IS A
8 PROJECT WE HAD DONE LAST SUMMER. AND THIS WAS
9 REALLY AN EFFORT TO TAKE ADVANTAGE OF SOME OF THE
10 DATA AVAILABLE TO CIRM TO THEN EVALUATE THE WORK
11 THAT WE'VE DONE HERE. WE THOUGHT IT WAS A NICE TOOL
12 TO TAKE INFORMATION ABOUT WHAT OUR GRANTEES ARE
13 DOING AND RELATE IT BACK TO THE STANDARDS. SO I
14 WILL DESCRIBE TO YOU A PROJECT THAT WAS FOCUSED
15 SPECIFICALLY ON LOOKING AT THE VALUE OF THE ESCRO
16 COMMITTEES IN EVALUATING EMBRYONIC CELL LINE
17 PROVENANCE.

18 I'D LIKE TO GIVE TREMENDOUS THANKS TO
19 ROHUN PATEL, AN UNDERGRADUATE FROM UCLA, WHO
20 APPROACHED CIRM AND SAID, "I REALLY WANT TO GET
21 INVOLVED WITH YOU GUYS AND DO SOMETHING." AND HE
22 WAS TERRIFIC. SO HE LEARNED ALL ABOUT ACCESS
23 DATABASES IN ABOUT THREE WEEKS. THIS REMINDED ME
24 HOW, WHEN UNDERGRADUATES DIG INTO A PROJECT, THE
25 AMOUNT OF ENERGY AND DRIVE THEY BRING TO THINGS. I

BARRISTERS' REPORTING SERVICE

1 STARTED TO FEEL A LITTLE OLD ACTUALLY. BUT IT WAS
2 REALLY GREAT TO WORK WITH SOMEONE WITH SO MUCH
3 ENERGY AND ENTHUSIASM.

4 BEHIND THE SCENES AT CIRM, WE HAVE A
5 TREMENDOUS I.T. TEAM WHO WERE VERY GENEROUS IN TERMS
6 OF BEING ABLE TO HELP PULL DATA FOR US, AND THEN A
7 LOT OF FOLKS FROM SCIENCE AND COMMUNICATIONS WHO
8 REALLY HELPED SORT OF MOVE THE PROJECT ALONG AND
9 GIVE ROHUN A SENSE THAT WHAT HE WAS DOING WAS REALLY
10 VALUED BY THE ORGANIZATION. THANKS EVERYONE FOR
11 YOUR HELP.

12 SO THE GOAL OF THIS PROJECT WAS TO LOOK AT
13 THE USE OF HUMAN EMBRYONIC STEM CELL LINES BY
14 INDIVIDUAL GRANT NUMBER. AND TO MY KNOWLEDGE, NO
15 ONE HAS BEEN ABLE TO REALLY LOOK AT EMBRYONIC CELL
16 LINE UTILIZATION ON A SORT OF PROJECT-BY-PROJECT
17 BASIS. AND, AGAIN, SINCE WE WERE DOING THIS SORT OF
18 WITH OUR STANDARDS HAT ON, ONE OF THE THINGS THAT WE
19 WERE REALLY INTERESTED IN UNDERSTANDING IS THE
20 DIFFERENCE -- WHAT'S THE UTILIZATION RATE FOR
21 NIH-APPROVED, THE BUSH LINES, SO THE EARLY NIH
22 LINES, THE NEWER LINES, OR THE LINES THAT ARE NOW
23 APPEARING ON THE NIH REGISTRY. AND THEN SOMETHING
24 WE HAD A VERY STRONG INTEREST IN WAS THE LINES
25 DERIVED BY OUR GRANTEES THROUGH OUR FUNDING.

BARRISTERS' REPORTING SERVICE

1 WE HAD A SECONDARY GOAL OF TRYING TO CODE
2 THE LINES BY SORT OF AREA OF RESEARCH, THE IDEA OF
3 WHAT ARE THE LINES BEING USED FOR. WE'VE POSITIONED
4 THE DATA THAT WAY, BUT THERE'S NO ANALYSIS IN TERMS
5 OF OUR SECONDARY GOALS. IN THE AMOUNT OF TIME WE
6 HAD, WE GOT THROUGH THE PRIMARY GOALS. AND
7 ULTIMATELY ONE OF THE THINGS WE DO ON OUR WEBSITE IS
8 WE REPORT ON CIRM FUNDING IN RELATION TO DISEASE
9 AREAS. AND, AGAIN, IN SORT OF A DREAM WORLD, WE
10 COULD RELATE CELL LINE UTILIZATION TO THOSE DISEASE
11 AREAS. AGAIN, WE DIDN'T GET THERE, BUT WE SORT OF
12 POSITIONED THE DATA IN A WAY WHERE THAT COULD BE
13 DONE WITH MORE FOLLOW-UP.

14 ONE OF THE REASONS THAT I FELT THIS WAS
15 ACTUALLY IMPORTANT FROM A REGULATORY POLICY
16 PERSPECTIVE IS THERE HAVE BEEN A NUMBER OF
17 PUBLICATIONS THAT WERE LOOKING AT CELL LINE
18 UTILIZATION AND THEN DRAWING INFERENCE AS TO THE
19 VALUE OF STATE FUNDING VERSUS NIH FUNDING. AND THE
20 SORT OF GIST OF THOSE PUBLICATIONS WERE MOST OF THE
21 WORK GOING ON COULD HAVE BEEN DONE WITH NIH FUNDING
22 ANYWAY. AND WHEN I READ THESE PAPERS, THEY DIDN'T
23 SIT RIGHT WITH ME BECAUSE REALLY THERE WAS A
24 DISCONNECT BETWEEN WHAT I WAS SEEING IN TERMS OF
25 WORK I WAS DOING AT CIRM AND REALLY LOOKING THROUGH

BARRISTERS' REPORTING SERVICE

1 THE PROTOCOLS, BUT WE TO DATE HADN'T REALLY PUT
2 ANYTHING OUT TO SUBSTANTIATE THAT. SO WHAT WE WERE
3 LEFT WITH WAS WHAT I WOULD CHARACTERIZE AS A
4 LITERATURE THAT SUGGESTED CERTAIN THINGS BASED ON
5 THE BEST AVAILABLE DATA, AND CIRM HAVING THE BEST
6 AVAILABLE HAD NOT YET CHIMED IN ON THE SUBJECT, SO
7 IT WAS OUR TURN.

8 SO WHAT WE DID IS WE USED WHAT -- WE GET
9 PROGRESS REPORTS FROM OUR GRANTEEES WHICH ARE
10 INCREDIBLY DETAILED IN TERMS OF THE MATERIALS
11 THEY'RE USING, AND PARTICULARLY EMBRYONIC STEM CELL
12 LINES. SO WHAT WE WERE ABLE TO DO IS, FROM THESE
13 PROGRESS REPORTS, DUMP ALL THAT DATA INTO WHAT I'M
14 GOING TO CALL THE HESC LINE UTILIZATION DATABASE.
15 AND, AGAIN, I MENTION THE DISEASE PIECE. I'M NOT
16 GOING TO SPEND A LOT OF TIME ON THAT BECAUSE THAT
17 PIECE WASN'T DONE. SO THE CRITICAL THING IS THESE
18 ARE ACTUAL REPORTS FROM THE GRANTEEES BASED ON
19 RESEARCH THAT WAS ACTUALLY DONE. AND THIS IS VERY
20 IMPORTANT BECAUSE A LOT OF -- IN SURVEYS PEOPLE
21 REPORT USING A LOT OF CELL LINES; BUT WHEN YOU
22 ACTUALLY ASK -- THESE ARE LINES PERHAPS THEY ARE
23 THINKING MIGHT BE USEFUL OR THEY MIGHT BE USING, BUT
24 THEY DIDN'T GET INSTITUTIONAL APPROVAL, THESE ARE
25 LINES THAT MADE IT INTO THE LAB AND WERE ACTUALLY

BARRISTERS' REPORTING SERVICE

1 USED IN STUDIES. SO WE WERE ABLE TO CAPTURE THAT ON
2 A PROTOCOL-BY-PROTOCOL BASIS.

3 SO WHAT WE WERE ABLE TO CAPTURE IS WHAT
4 I'M DESCRIBING AS UTILIZATION EVENTS. THERE WERE
5 339 EVENTS OR PROTOCOLS WHERE LINES WERE USED. WE
6 CREATED A UNIQUE RECORD FOR EACH OF THOSE EVENTS.
7 SO IT WOULD BE GRANT NUMBER H9 OR GRANT NUMBER
8 UCSF2. SO WE HAVE A UNIQUE RECORD FOR EVERY EVENT.
9 IT ALSO GAVE US AN OPPORTUNITY TO GO THROUGH THE
10 CIRM DATABASE, AND WE STANDARDIZED ALL THE CELL LINE
11 NAMES BECAUSE THERE'S A LITTLE BIT -- OVER TIME
12 THERE'S A LITTLE BIT OF SLIPPAGE IN HOW PEOPLE ARE
13 NAMING LINES. SO IT WAS A NICE EXERCISE. WE WERE
14 ABLE TO GIVE SOMETHING BACK TO THE DATA FOLKS, WHICH
15 WAS A CONSISTENT NAMING SCHEME FOR ALL THE STEM CELL
16 LINES.

17 AND THEN WE CREATED A SET OF TABLES, WHICH
18 I'LL SHOW YOU IN A MOMENT. THE 339 EVENTS REPRESENT
19 97 GRANTS. IS THAT CLEAR? SO 339 USES OF STEM CELL
20 LINES WITHIN 97 GRANTS. AND WHAT WE ACTUALLY SEE IS
21 138 UNIQUE STEM CELL LINES BEING USED WITHIN THE 97
22 GRANTS. DID THAT COME OFF CLEARLY? SO OBVIOUSLY
23 THIS NUMBER, THERE'S A LOT OF DUPLICATION IN THE
24 LINES BEING USED. WE REDUCE IT DOWN TO 97 GRANTS
25 WHERE 339 BECOMES 138 UNIQUE.

BARRISTERS' REPORTING SERVICE

1 SO, AGAIN, WE WERE THINKING WITH THE SORT
2 OF STANDARDS HAT ON, WHAT SORT OF CATEGORIES DID
3 THESE STEM CELL LINES FALL INTO? SO WHAT WE HAD TO
4 DO IS CREATE A HIERARCHY IN PART BECAUSE WE KNOW
5 THAT CERTAIN STEM CELL LINES WOULD APPEAR -- H9 IS A
6 GOOD EXAMPLE. IT WAS IN THE NIH REGISTRY PRIOR TO
7 2009 AND IT'S ALSO A CURRENT REGISTRY LINE. SO
8 THESE NUMBERS REPRESENT A HIERARCHY. SO H9 IS ONLY
9 BEING COUNTED AS A CURRENT LINE AND NOT AS A PRIOR
10 2009 LINE. WE WANT TO AVOID DOUBLE COUNTING.

11 DR. ROBERT TAYLOR: IN THE 339 EVENTS THAT
12 YOU HAVE -- I'LL TRY TO SCREAM. IT'S REALLY NOT
13 THAT IMPORTANT A QUESTION. I WAS WONDERING OUT OF
14 THE 339 EVENTS, WHAT'S THE GENERAL PROPORTION THAT
15 ACTUALLY DIDN'T MAKE IT TO A -- SO THERE WOULD BE
16 PROPOSALS TO USE HESC LINES, BUT I'M ASSUMING THAT
17 SOME OF THOSE HAVEN'T GOTTEN TO THE POINT --

18 DR. LOMAX: WE WERE VERY DELIBERATE. WE
19 WANT TO AVOID THAT PHENOMENON OF PHANTOM
20 UTILIZATION. THAT'S WHY WE'RE RELYING ON PROGRESS
21 REPORTS WHICH ARE ACTUAL CERTIFIED HERE'S WHAT WE
22 DID. THAT'S WHAT'S UNIQUE ABOUT WHAT WE'RE DOING.
23 OTHER PEOPLE HAVE USED THINGS LIKE MATERIAL TRANSFER
24 AGREEMENTS, SURVEYS. NOT A BAD WAY TO GO. IF YOU
25 DON'T HAVE ANYTHING BETTER, FINE METHODOLOGY. BUT

BARRISTERS' REPORTING SERVICE

1 THIS IS WHAT I WOULD SUGGEST IS A GOLD STANDARD.

2 CERTIFIED, HERE'S WHAT WE DID DATA.

3 SO WE DEVELOPED THE HIERARCHY IN ORDER TO,
4 AGAIN, AVOID DUPLICATION WITH LINES THAT WOULD
5 APPEAR IN MULTIPLE PLACES. AND, AGAIN, IT GIVES US
6 A WAY OF LOOKING AT IT WITH A SORT OF REGULATORY
7 LENS. SO THAT WE FOUND THAT CURRENT NIH REGISTRY
8 LINES, 35 OF THE LINES THAT ARE IN THE REGISTRY WERE
9 PART OF WHAT OUR GRANTEES USED, AND THAT WAS A
10 QUARTER OF ALL LINES USED BY OUR GRANTEES IN THE
11 STUDY PERIOD.

12 WE THEN HAD CIRM-DERIVED LINES THAT ARE
13 NOT IN THE REGISTRY. I THINK THERE WERE 18 CIRM
14 LINES DERIVED, BUT NINE OF THEM HAD ALREADY BEEN
15 QUALIFIED FOR THE REGISTRY. A NUMBER OF THOSE FROM
16 BOTH UCSF AND UCLA. SO THEY ACTUALLY ARE UP HERE,
17 BUT THEN THERE'S ANOTHER NINE LINES THAT ARE EITHER
18 IN THE PROCESS OR HADN'T MADE IT YET. SO 7 PERCENT
19 OF THE LINES WERE CIRM-DERIVED LINES, AND WE'RE VERY
20 PROUD ABOUT THOSE LINES BECAUSE WE HAVE
21 DOCUMENTATION FROM THE GRANTEES THAT DERIVED THEM
22 CERTIFYING THAT THEY WERE DERIVED ACCORDING TO OUR
23 CONSENT PROTOCOLS. AND SO WE FEEL VERY GOOD ABOUT
24 THE FACT THAT WE'VE CONTRIBUTED SOMETHING TO THE
25 RESEARCH STREAM THAT IS CONSISTENT WITH WHAT WE WANT

BARRISTERS' REPORTING SERVICE

1 TO SEE FROM CONSENT AND OVERSIGHT.

2 BUT THE BIG NUMBER THAT REALLY JUMPS OUT
3 HERE FOR, AGAIN, UNDERSCORING THE IMPORTANCE, I
4 THINK, OF THE REGULATIONS IS THE ESCRO-APPROVED
5 LINES. THERE WERE 70 LINES THAT HAD TO BE EVALUATED
6 IN SOME WAY BY AN OVERSIGHT COMMITTEE, AND THAT'S 51
7 PERCENT OF LINES ACTUALLY USED. SO THIS IS A PIECE
8 THAT REALLY HADN'T -- SORT OF THE MAGNITUDE AND
9 PROPORTION OF THIS HAS NOT REALLY SHOWN UP IN
10 PREVIOUS STUDIES. AND WE THINK THIS IS AN IMPORTANT
11 SORT OF INSIGHT INTO SORT OF THE VALUE OF RESEARCH
12 OVERSIGHT.

13 AND THEN THE BUSH LINES, IF YOU WILL, 7
14 PERCENT, AND THEN THE UK STEM CELL BANK LINES ARE
15 ANOTHER SIGNIFICANT PROPORTION. AND AS YOU MAY WELL
16 KNOW, WE AUTHORIZE USE OF THESE LINES SORT OF
17 AUTOMATICALLY. THEY'RE VIEWED TO BE SORT OF
18 ETHICALLY DERIVED WITH NO FURTHER REVIEW. AND IT'S
19 INTERESTING TO SEE THE VALUE OF A POLICY LIKE THAT.
20 WE THINK WE SEE A LOT OF GRANTEEES UTILIZING THE UK
21 STEM CELL BANK FOR THAT REASON AS WELL. SO THIS IS
22 REALLY SORT OF THE GUTS OF OUR FINDINGS.

23 I HAVE A COUPLE OF OTHER TABLES I CAN SHOW
24 YOU. BUT I WANT TO PAUSE THERE AND SEE IF THERE ARE
25 ANY OTHER QUESTIONS IN TERMS OF THIS. TERRIFIC.

BARRISTERS' REPORTING SERVICE

1 SO ONE OF THE THINGS -- SO, AGAIN, TO
2 REMIND FOLKS, WHILE THE DISTRIBUTION IS VERY BROAD,
3 THERE STILL IS A FAIRLY HIGH CONCENTRATION OF
4 UTILIZATION AMONGST SOME OF THE MOST FREQUENTLY
5 USED -- SOME OF THE COMMON LINES. I THINK THESE
6 NAMES WILL BE FAMILIAR TO MOST FOLKS. BUT IT IS
7 WORTH NOTING THAT THERE ARE A NUMBER OF LINES THAT
8 HAVE NOT YET BEEN NIH APPROVED THAT WE'VE STILL --
9 OUR GRANTEES ARE ABLE TO UTILIZE BECAUSE OF OUR
10 REGULATIONS AND OUR POLICIES.

11 AND THEN I THINK I'VE CIRCULATED THIS. I
12 CAN RECIRCULATE IT TO THE GROUP. WE'VE WRITTEN THIS
13 UP AND WE WERE PUBLISHED IN 2011 IN *STEM CELL*
14 *RESEARCH AND THERAPY*. AND THIS IS A NICE
15 PUBLICATION BECAUSE IT'S AN OPEN ACCESS JOURNAL, SO
16 FOLKS GET THE DATA RIGHT THERE. BUT WE TRIED NOT TO
17 STOP THERE. ONE OF THE THINGS THAT'S REALLY BEEN A
18 PLEASURE WORKING AT CIRM IS THERE'S A REAL INTEREST
19 IN SORT OF GETTING THE INFORMATION OUT IN A MORE
20 SORT OF PUBLIC WAY THAN OTHER SORTS OF
21 COMMUNICATION. SO WE ALSO HAVE USED THESE FINDINGS
22 IN A COUPLE OF OUR BLOG ENTRIES. AND THAT'S BEEN A
23 REAL PLEASURE BECAUSE YOU KIND OF PUT THINGS INTO
24 JOURNALS AND YOU OCCASIONALLY GO BACK AND LOOK AND
25 SEE YOU'VE BEEN CITED THREE TIMES AND THAT'S GREAT.

BARRISTERS' REPORTING SERVICE

1 WE ACTUALLY GET QUITE A FEW COMMENTS AND THINGS ON
2 THE BLOG. SO THIS WAS A NICE OPPORTUNITY TO TALK
3 ABOUT THE VALUE OF CIRM IN RELATION TO STEM CELL
4 RESEARCH THAT YOU'RE SORT OF HOPEFULLY HITTING A
5 DIFFERENT AUDIENCE THERE.

6 SO THAT COVERS THAT PIECE OF IT. AGAIN, I
7 DON'T KNOW IF THERE'S ANY QUESTIONS AT THIS STAGE.

8 DR. BERNSTEIN: MY NAME IS DENISE
9 BERNSTEIN FROM UCSF. I NOTICE THAT A LOT OF HUES
10 LINES, THE HARVARD LINES THAT ARE RESTRICTED LINES.
11 DO YOU CHECK AGAINST YOUR GRANT TO SEE WHETHER THE
12 RESTRICTIONS ARE BEING FOLLOWED?

13 DR. LOMAX: WE DON'T. AND THE REASON WE
14 DON'T IS THAT THOSE RESTRICTIONS ARE NIH
15 RESTRICTIONS IMPOSED BY NIH BASED ON THEIR REVIEW.
16 THE BOARD PASSED A -- THIS WAS PASSED BY THE ICOC
17 SOMETIME IN 2009, I BELIEVE, I THINK MIDDLE OF 2009.
18 THE RATIONALE WAS THAT WE HAD ALWAYS APPROVED THE
19 BUSH LINES FOR RESEARCH. THERE HAD BEEN A
20 SUBSTANTIAL INVESTMENT IN THOSE LINES WITH OUR
21 GRANTS FUNDING. AND THE FEELING AT THAT POINT WAS
22 IT WAS NOT APPROPRIATE TO THEN IMPOSE RETROACTIVE
23 RESTRICTIONS ON THE UTILIZATION OF THOSE LINES AT A
24 LATER DATE. WE WERE ALREADY HEAVILY INVESTED IN
25 THOSE PROTOCOLS.

BARRISTERS' REPORTING SERVICE

1 SO AS A POLICY DECISION, CIRM ALLOWS THE
2 USE OF THOSE LINES AS YOU WOULD HAVE BEEN ABLE TO
3 UTILIZE THEM IN 2008. DOES THAT MAKE SENSE?

4 DR. BERNSTEIN: IT DOES. I CAN'T REMEMBER
5 THAT THEY WERE THERE BEFORE 2008.

6 DR. LOMAX: THEY WERE AVAILABLE FOR
7 UNRESTRICTED USE PRIOR TO THE TIME THEY WERE LISTED
8 ON THE NIH REGISTRY IN WHICH THEY WERE THEN LISTED
9 WITH RESTRICTIONS.

10 CHAIRMAN LO: I WANT TO ASK YOU A QUESTION
11 WITH REGARD TO THIS TABLE AND SOME OF THE ONGOING
12 CONTROVERSY IN THIS COUNTRY REGARDING THE USE OF
13 HUMAN EMBRYONIC STEM CELL LINES AND A BIG ELECTION
14 COMING UP IN THE FALL.

15 SO THERE IS A SEGMENT OF THE POPULATION
16 THAT SAYS WHY DO WE NEED TO HAVE ANY NEW LINES? WHY
17 DON'T WE JUST USE THE ORIGINAL BUSH PRESIDENTIAL
18 LINES? I WAS GOING TO ASK THE SCIENTISTS ON THE
19 GROUP AND I GUESS JEFF BOTKIN AS WELL BECAUSE YOU
20 CHAIR THE NIH WORKING GROUP THAT REVIEWS CANDIDATE
21 NEW LINES. DO WE HAVE A WELL-ARTICULATED PIECE THAT
22 THE PUBLIC CAN LOOK AT TO SORT OF UNDERSTAND WHY IT
23 IS, EVEN THOUGH THE PREPONDERANCE OF GRANTS ARE
24 PROPOSING TO USE THE NIH-APPROVED LINES, THAT OTHER
25 LINES ARE IMPORTANT TO HAVE OPEN TO RESEARCHERS AND,

BARRISTERS' REPORTING SERVICE

1 IN FACT, THIS GOES TO YOU, GEOFF, THAT THERE MAY
2 ACTUALLY BE A NEED FOR NEWER LINES, FOR EXAMPLE,
3 LINES THAT HAVE SPECIFIC GENOMIC OR PHENOTYPIC
4 CHARACTERISTICS?

5 DR. LOMAX: I WOULD LIKE TO DEFER TO MY
6 SCIENCE COLLEAGUES ON THIS QUESTION. ONE TECHNICAL
7 NOTE JUST METHODOLOGICALLY, JUST SO YOU UNDERSTAND
8 WHAT YOU'RE SEEING. THIS TABLE REPRESENTS A LOOK
9 INTO ABOUT TWO AND A HALF TO THREE YEARS BACK. IT'S
10 ALSO SOMETHING TO KEEP IN MIND. ONE OF THE THINGS
11 ABOUT OUR METHODOLOGY IS BECAUSE WE RELIED ON
12 PROGRESS REPORTS, IN ORDER TO MEET THE CRITERIA FOR
13 BEING IN THIS STUDY, THEY HAD TO BE COMPLETED
14 PROGRESS REPORTS. WE DID IT LAST SUMMER. PROGRESS
15 REPORT HAD TO BE REPORTING ON SOMETHING THAT WAS A
16 YEAR FURTHER BACK. SO, AGAIN, MY SENSE IS IN TERMS
17 OF IMPERFECT FOLLOW-UP SUBSEQUENTLY, THIS IS
18 BEGINNING TO CHANGE ALREADY. THIS IS A LITTLE BIT
19 OF AN OLDER PICTURE; BUT IN TERMS OF THE BROADER
20 QUESTION --

21 CHAIRMAN LO: THAT WOULD BE IMPORTANT IF
22 YOU COULD UPDATE THAT. MY SENSE IS THAT THIS WILL
23 COME UP AGAIN BETWEEN NOW AND NOVEMBER. AND DATA AS
24 TO WHAT LINES ARE BEING USED COULD HAVE SOME IMPACT.
25 WE HAVE ACCESS TO THAT DATA THAT OTHER PEOPLE DON'T.

BARRISTERS' REPORTING SERVICE

1 IT WOULD BE PARTICULARLY USEFUL.

2 DR. ROBERTS: JUST TO CLARIFY, WHEN YOU
3 SAY IT'S BEGINNING TO CHANGE, YOU MEAN THAT EVEN A
4 HIGHER PERCENTAGE ARE NOT NIH-APPROVED LINES THAT
5 ARE BEING USED? WHEN YOU SAY IT'S ALREADY BEGINNING
6 TO CHANGE, WHAT DO YOU MEAN?

7 DR. LOMAX: WHAT WE'RE SEEING IS THE
8 NUMBER OF CIRM DERIVATIONS IS GOING UP. SO THE
9 PROPORTION OF CIRM UTILIZATION, MY SENSE IS, AGAIN,
10 IT'S A LITTLE BIT OF A SENSE BASED ON EYEBALLING
11 DATA, THAT PROPORTION IS GOING UP. THERE'S GOING TO
12 BE SOME STABILITY IN THE H1S OF THE WORLD BECAUSE OF
13 THEIR RECOGNITION AS REFERENCE LINES, BUT THE
14 DIVERSITY IS NOW CHANGING AGAIN.

15 I DON'T KNOW, UTA OR ELLEN, IF YOU ALL
16 HAVE FURTHER THOUGHTS BECAUSE I KNOW THEY'RE A BIT
17 CLOSER TO THE PROJECTS THEMSELVES.

18 DR. FEIGAL: ACTUALLY I'M GOING TO HAVE
19 DR. GRIESHAMMER TALK ABOUT SOME OF THE ISSUES IN
20 TERMS OF FORMULATING A NEW BANK THAT WE HAVE. THAT
21 PARTICULAR BANK HAS BECOME AN INITIATIVE TO REALLY
22 CREATE AN INDUCED PLURIPOTENT STEM CELL BANK THAT
23 WILL ALSO INCLUDE HUMAN EMBRYONIC STEM CELL-DERIVED
24 LINES. SO LET ME LET UTA GIVE A LITTLE BIT OF A
25 SUMMARY OF THAT INITIATIVE AND WHY WE'RE DOING THAT.

BARRISTERS' REPORTING SERVICE

1 DR. GRIESHAMMER: ACTUALLY WITH REGARD TO
2 THAT PARTICULAR INITIATIVE, I THINK LATER IN TODAY'S
3 MEETING WE'LL HAVE A DISCUSSION ON THAT. MAYBE I
4 CAN DEFER UNTIL THEN SPEAKING ABOUT THE HUMAN
5 INDUCED PLURIPOTENT STEM CELL INITIATIVE.

6 JUST TO COMMENT ON BERNIE'S QUESTION, ONE
7 OBSERVATION THAT I HAVE THAT COMES FROM LOOKING AT
8 PROGRESS REPORTS AND WHY PEOPLE ARE GENERATING NEW
9 HUMAN EMBRYONIC STEM CELL LINES COMES ACTUALLY OUT
10 OF RESEARCH PROJECTS INTERESTED IN UNDERSTANDING THE
11 HUMAN EMBRYO. AND IN THE PROCESS OF STUDYING HOW
12 EMBRYONIC STEM CELL LINES COME ABOUT DURING THE
13 ISOLATION, THEY GENERATE NEW HUMAN EMBRYONIC STEM
14 CELL LINES THAT THEN BECOME PART OF THIS COLLECTION.
15 AND I THINK IN SOME CASES PEOPLE THEN DISCOVER THAT
16 THEY MIGHT BE BETTER SUITED FOR SOME OF THE STUDIES
17 THAT OTHER PEOPLE WANT TO USE.

18 SO ANOTHER MORE PRACTICAL EXAMPLE IS
19 PEOPLE TRYING TO DERIVE NEW HUMAN EMBRYONIC STEM
20 CELL LINES THAT MIGHT BE PARTICULARLY ADAPTABLE TO
21 SCALE-UP FOR CULTURING THERAPEUTIC APPLICATIONS AND
22 LOOKING INTO DERIVING HUMAN EMBRYONIC STEM CELL
23 LINES THAT PHENOTYPICALLY RESEMBLE MORE MOUSE
24 EMBRYONIC STEM CELL LINES THAT ARE CONSIDERED MORE
25 NAIVE IN THEIR DEVELOPMENTAL POTENTIAL. AND SO

BARRISTERS' REPORTING SERVICE

1 THERE'S SORT OF RESEARCH PROJECTS THAT LEAD TO THE
2 GENERATION OF THESE LINES, BUT I THINK HAVE A HIGH
3 POTENTIAL FOR ULTIMATELY COMING UP WITH LINES THAT
4 MIGHT BE MORE SUITABLE FOR THERAPEUTIC SCALE-UP.

5 DR. BOTKIN: I'M A NONSCIENTIST, SO I
6 WOULDN'T HAVE A GOOD ANSWER, BUT WOULD ENDORSE THE
7 NEED FOR THAT TYPE OF PAPER TO DESCRIBE, I THINK,
8 EXACTLY WHAT BERNIE IS ADVOCATING THERE.

9 I WOULD SAY FROM MY PERSPECTIVE WITH THE
10 NIH PANEL, ONE OF THE THINGS I'VE BEEN IMPRESSED
11 WITH IS THE NUMBER OF LINES RELATIVELY RECENTLY THAT
12 HAVE COME THROUGH FROM PREIMPLANTATION GENETIC
13 DIAGNOSTIC CONTEXT. AND SO THEY'RE EMBRYOS WITH
14 KNOWN MENDELIAN MUTATIONS. AND I THINK THOSE LINES
15 SEEM TO BE PARTICULARLY INTERESTING, OBVIOUSLY, FOR
16 CERTAIN DISEASE COMMUNITIES. I DON'T HAVE THE
17 UNDERSTANDING TO KNOW EXACTLY HOW THOSE LINES ARE
18 USEFUL IN THAT CONTEXT, BUT I THINK SUCH A PAPER
19 MIGHT DESCRIBE HOW EMBRYOS OF THAT SORT MIGHT BE
20 CRITICALLY USEFUL FOR DIFFERENT DISEASE CONTEXTS.

21 DR. ROBERT TAYLOR: AND I GUESS ONE OTHER
22 POINT. I WISH I KNEW THE NUMBERS OFF THE TOP OF MY
23 HEAD, BUT THERE HAVE BEEN CALCULATIONS TO TRY TO
24 PREDICT -- AND, JOHN, YOU MIGHT KNOW THIS BETTER
25 THAN I -- FOR HLA MATCHING THAT SORT OF KIND OF

BARRISTERS' REPORTING SERVICE

1 NUMBERS, AN IDEALIZED NUMBER OF HUMAN EMBRYONIC STEM
2 CELLS THAT MIGHT BE REQUIRED TO BE ABLE TO CREATE
3 TISSUES THAT ARE HISTOCOMPATIBLE WITH THE MAJORITY
4 OF HUMANS THAT MIGHT NEED THOSE IN A DISEASE
5 SETTING. SO THERE ARE SOME NUMBERS, I THINK, THAT
6 POSSIBLY COULD BE DERIVED THAT COULD BE USEFUL TO
7 THE PUBLIC TO UNDERSTAND WHY WE MIGHT NEED MORE OF
8 THESE THAN ARE CURRENTLY AVAILABLE.

9 DR. WAGNER: I GUESS THE ONLY THING TO ADD
10 TO THAT IS THAT I THINK THE TWO MAJOR CATEGORIES YOU
11 BOTH MENTIONED, ONE IS THE GENETIC DISEASE MODELS
12 AND THE OTHER IS FOR POTENTIAL CLINICAL APPLICATION
13 WHICH THEN RELATES TO THE HLA TYPING ASPECT. SO AS
14 WE HAVE DEVELOPED NEW METHODOLOGIES, AND WHATEVER
15 THAT SHOULD BE IN TERMS OF MANUFACTURING A CELL
16 LINE, THAT MIGHT HAVE POTENTIAL CLINICAL USE.
17 CERTAINLY THE OLD CELL LINES WERE NOT OPTIMALLY
18 DERIVED JUST FROM A CLINICAL POINT OF VIEW. THERE'S
19 OTHER ISSUES AS WELL.

20 SO CERTAINLY I THINK THERE IS STILL A
21 SIGNIFICANT NEED FOR THE DEVELOPMENT OF NEW CELL
22 LINES. BUT THE ONE THING THAT HASN'T BEEN STATED IS
23 WHETHER OR NOT WE KNOW THAT A CELL LINE WILL BE
24 USABLE INDEFINITELY. SO THE FACT THAT YOU HAVE A
25 CELL LINE TODAY THAT MAY BE GENETICALLY GOOD, LET'S

BARRISTERS' REPORTING SERVICE

1 SAY, HOWEVER YOU DEFINE THAT, THROUGH LONG-TERM USE,
2 WHETHER OR NOT THAT STABILITY IN THE GENETIC AREA IS
3 MAINTAINED I'M NOT SURE IS KNOWN AND MAY NOT BE
4 MAINTAINABLE. SO THERE MIGHT BE A SHELF LIFE, SO TO
5 SPEAK, OF A CELL LINE THAT WOULD NECESSITATE
6 CONTINUATION OF DEVELOPMENT OF NEW CELL LINES OVER
7 TIME.

8 DR. OLSON: I JUST WANT TO MAKE THE
9 POINT -- AND I APPRECIATE WHAT JOHN HAS SAID BECAUSE
10 OBVIOUSLY I THINK THE STABILITY OF LINES IS A
11 QUESTION THAT MANY OF US ARE CONCERNED ABOUT AND
12 THAT WE PUT OUT QUESTIONS FOR. BUT I DO SAY THAT --
13 I WOULD NOTE THAT ANYBODY WHO INTENDS TO MAKING
14 RESEARCH BANKS, THAT YOU ONLY PASSAGE FOR CERTAIN
15 TIMES. WHEN YOU TALK ABOUT THERAPEUTICS, YOU DO
16 SOMETHING CALLED MAKE A MASTER CELL BANK AND A
17 WORKING CELL BANK, AND YOU SET VERY CAREFUL
18 SPECIFICATIONS ON THE NUMBER OF PASSAGES YOU CAN
19 USE. THAT IS SUPPOSEDLY BASED ON EXPERIMENTAL DATA
20 AS TO THE STABILITY OF THE LINE OVER THE COURSE OF
21 THOSE PASSAGES.

22 I AGREE THAT YOU HAVE TO BE CAREFUL, AND
23 PARTICULARLY IN RESEARCH USE WHERE PEOPLE, I THINK,
24 LOTS OF TIMES TEND TO NOT BE COGNIZANT OR MAY IN
25 SOME CASES NOT BE COGNIZANT OF THE PASSAGE NUMBER OF

BARRISTERS' REPORTING SERVICE

1 THEIR CELLS. BUT I'D SAY WHEN YOU CERTAINLY START
2 TALKING ABOUT THERAPEUTIC USE, THAT'S ONE OF THE
3 IMPORTANT THINGS FOR CONSIDERATION.

4 DR. WAGNER: LIKE WE'VE ALREADY BEEN
5 DISCUSSING IN SOME WAYS ARE THE WAY YOU MANUFACTURE
6 A CELL LINE TODAY IS VERY DIFFERENT THAN THE WAY
7 THEY MANUFACTURED H1. YES, PEOPLE ARE NOW LEARNING
8 HOW TO CREATE MASTER CELL BANKS, BUT WE'RE STILL IN
9 A LEARNING PHASE. SO, THEREFORE, AT LEAST IN THE
10 IMMEDIATE FUTURE, THERE'S GOING TO BE THIS CONTINUED
11 NEED FOR DEVELOPMENT OF THE OPTIMAL CELL BANK. AND
12 SO AT LEAST IN THE NEAR FUTURE, THERE IS STILL A
13 SIGNIFICANT NEED.

14 DR. LOMAX: THANK YOU FOR THOSE COMMENTS.
15 WE WILL BE DISCUSSING CELL BANKING A BIT MORE THIS
16 AFTERNOON.

17 DR. ROBERT TAYLOR: I KNOW YOU HAVEN'T HAD
18 A CHANCE TO CRUNCH THE DATA, BUT I'M CURIOUS WHETHER
19 YOU CAN GIVE US A LITTLE BIT OF A PREVIEW ABOUT THE
20 APPLICATIONS AND THE KINDS OF GRANTS BECAUSE UTA HAS
21 RAISED A REALLY INTERESTING QUESTION, CERTAINLY
22 INTERESTING TO ME, ABOUT USING THESE TO TRY TO
23 UNDERSTAND EARLY HUMAN EMBRYOLOGY. WHERE DO YOU
24 SORT OF SEE THE BIG -- I WOULD ASSUME SORT OF
25 NEURODEGENERATIVE DISEASES WOULD PROBABLY BE AT THE

BARRISTERS' REPORTING SERVICE

1 TOP, BUT WHERE DO YOU SORT OF SEE THINGS GOING IN
2 THE SCIENTIFIC CATEGORIES THAT YOU STARTED TO BREAK
3 DOWN?

4 DR. LOMAX: AGAIN, I WOULD DEFER EITHER TO
5 MY SCIENCE COLLEAGUES OR THE DATA. I MUST SAY I
6 DON'T HAVE A CRYSTAL BALL IN THAT AREA, BUT IT'S
7 REALLY A DATA EXERCISE. I THINK DR. FEIGAL COULD
8 OFFER SOME INSIGHT THERE.

9 DR. FEIGAL: WELL, ALL I WAS GOING TO SAY
10 IS UTA MENTIONED WE ARE GOING TO HAVE A
11 PRESENTATION, I THINK, LATER THIS MORNING ON THE
12 BANK AND THE RATIONALE FOR WHY WE'RE PUTTING IT
13 TOGETHER. MAYBE WE COULD HOLD THAT PART OF THE
14 CONVERSATION AT THAT TIME.

15 CHAIRMAN LO: SOUNDS LIKE A GOOD PLAN.

16 DR. WAGNER: I JUST WANT TO MAKE ONE MORE
17 COMMENT, WHICH IS GOING BACK TO WHAT YOU WERE
18 TALKING ABOUT BEFORE IN TERMS OF THE HLA TYPING.
19 THAT IS IS THAT THOSE NUMBERS ARE ALREADY KNOWN.
20 WE'VE LOOKED AT VARIOUS RACIAL BACKGROUNDS, AND WE
21 CAN TELL YOU FOR OTHER PURPOSES, NOT FOR ES CELLS,
22 BUT OTHER PURPOSES CAN GIVE YOU THE TOP 30 HLA
23 HAPLOTYPES BY RACE. AND SO --

24 DR. ROBERT TAYLOR: I THINK I READ IT WAS
25 SEVERAL HUNDRED OR SOMETHING.

BARRISTERS' REPORTING SERVICE

1 DR. WAGNER: FOR CAUCASIAN. IT DEPENDS
2 ALSO WHAT LEVEL OF MATCH YOU WANT. IF YOU'RE
3 LOOKING FOR ONE ANTIGEN MATCH OUT OF ALL OF THEM,
4 THEN THAT'S A VERY DIFFERENT NUMBER. AND FOR
5 CAUCASIANS OF NORTHERN EUROPEAN DESCENT, THE NUMBER
6 IS MUCH MORE RESTRICTED. AND FOR AFRICAN-AMERICANS,
7 IT WOULD BE MUCH LARGER. SO ALTHOUGH I CAN'T TELL
8 YOU THOSE NUMBERS OFF THE TOP OF MY HEAD, THOSE
9 NUMBERS ARE KNOWN.

10 SO IF IT'S IMPORTANT FOR CIRM AND FOR A
11 NATIONAL NIH BANK, THEN I THINK WITHIN THE YEAR WE
12 SHOULD THEN ACTUALLY CRUNCH THOSE NUMBERS BECAUSE
13 YOU ARE GOING TO FIND THAT THE NUMBERS ARE ACTUALLY
14 QUITE LARGE.

15 DR. LOMAX: THANK YOU, EVERYONE, FOR THOSE
16 COMMENTS BECAUSE THAT HELPS SORT OF EXPAND THE
17 DISCUSSION BEYOND WHERE I COULD HAVE TAKEN IT.
18 THANK YOU. THAT'S WHY WE HAVE A COMMITTEE.

19 CHAIRMAN LO: LET'S TURN IT OVER TO SCOTT
20 TO UPDATE US ON REGULATORY AMENDMENTS. I WANTED TO
21 JUST POINT OUT THAT IN THIS WONDERFUL FOLDER THAT I
22 KEEP LOSING, THE YELLOW DOCUMENT ON THE RIGHT-HAND
23 SIDE IS THE UPDATED VERSION OF THE CIRM REGULATIONS.

24 MR. TOCHER: THANKS, BERNIE. AND THANKS,
25 GEOFF. GEOFF JUST ASKED ME TO SPEND ABOUT MAYBE A

BARRISTERS' REPORTING SERVICE

1 MINUTE AT THE MOST, I HOPE, JUST TO UPDATE YOU ON
2 NEW REGULATIONS AND ALSO FOR NEWER PEOPLE IN THE
3 ROOM UNFAMILIAR WITH THE PROCESS FOR WHAT HAPPENS
4 WITH THE WORK THAT GOES ON HERE TODAY. THIS IS
5 REALLY SORT OF JUST THE BEGINNING OF THE PROCESS.

6 THE POLICIES THAT YOU FORMULATE HERE ARE
7 THEN TRANSLATED INTO THE REGULATORY LANGUAGE THAT
8 BERNIE JUST REFERRED TO. AND THIS LANGUAGE MUST
9 UNDERGO AN ADMINISTRATIVE PROCESS THAT IS GOVERNED
10 BY ANOTHER STATE AGENCY. SO THE POLICY CALLS AND
11 ADVICE THAT YOU GIVE THE ICOC THEN UNDERGOES A
12 PUBLIC COMMENT PROCESS. SO THE PUBLIC AT LARGE GETS
13 TO WEIGH IN ON THESE POLICIES AND HELP SHAPE AND
14 REFINE THEM.

15 AND WITH THE WORK OF THIS GROUP AND BERNIE
16 AND GEOFF THROUGHOUT, THOSE POLICIES ARE FURTHER
17 REFINED UNTIL WE HAVE SETTLED ON A PLACE WHERE WE
18 WANT TO BE. AND THE END RESULT IS THE REGULATORY
19 LANGUAGE THAT YOU HAVE IN FRONT OF YOU. AND THIS
20 HAS THE FULL FORCE AND EFFECT OF ANY OTHER LAW THAT
21 WOULD BE ADOPTED BY THE LEGISLATURE, FOR INSTANCE.
22 SO IT UNDERSCORES THE IMPORTANCE AND THE WEIGHT OF
23 THE WORK THAT YOU DO HERE AND THE ADVICE THAT YOU
24 GIVE THE ICOC.

25 IN TERMS OF AN UPDATE OF THE REGULATORY

BARRISTERS' REPORTING SERVICE

1 PROCESS, RECENTLY OR LAST YEAR THE WORKING GROUP
2 RECOMMENDED SOME REVISIONS TO A REGULATION THAT
3 GOVERNS OR DEFINES ACCEPTABLY DERIVED RESEARCH
4 MATERIALS, STEM CELL LINES. AND WE ADDED LINES THAT
5 ARE DERIVED UNDER LICENSE FROM THE AUSTRALIAN
6 NATIONAL HEALTH AND MEDICAL RESEARCH COUNCIL. AND
7 THOSE AMENDMENTS SUCCESSFULLY CONCLUDED THE
8 REGULATORY PROCESS AND ARE PART OF THE UPDATE THAT
9 BERNIE JUST REFERRED TO.

10 CHAIRMAN LO: WHY DON'T WE NEXT ASK ELLEN
11 FEIGAL TO UPDATE US ON A WORKSHOP ADDRESSING PATIENT
12 ADVOCATE PARTICIPATION IN CLINICAL RESEARCH
13 DECISIONS.

14 DR. FEIGAL: THANK YOU VERY MUCH, AND I'M
15 PLEASED TO TALK WITH YOU TODAY. THE ROLE OF THE
16 PATIENT ADVOCATE, INDIVIDUAL PATIENTS, PATIENT
17 ADVOCACY ORGANIZATIONS, REPRESENTATIVES FROM THEM
18 ARE VERY IMPORTANT TO CIRM. AND SO FROM THE GENESIS
19 OF CIRM, PATIENTS HAVE REALLY HAD A MAJOR ROLE TO
20 PLAY IN THE DEVELOPMENT AND THE CREATION AND THE
21 IMPLEMENTATION OF THE DIFFERENT PROGRAMS THAT WE PUT
22 TOGETHER.

23 WITH THIS IN MIND, CIRM WAS VERY
24 INTERESTED IN A CONFERENCE THAT THE HASTINGS CENTER
25 WAS PUTTING TOGETHER. AND THE HASTINGS CENTER IS

BARRISTERS' REPORTING SERVICE

1 REALLY A MAJOR THINK TANK POLICY RESEARCH GROUP THAT
2 WAS FOUNDED IN 1969 THAT ENGAGES EXPERTS IN A
3 VARIETY OF DIFFERENT POLICY ISSUES PRIMARILY DEVOTED
4 TO THE LIFE SCIENCES, TO MEDICINE, AND WERE THINKING
5 ABOUT PUTTING TOGETHER A CONFERENCE TALKING ABOUT
6 THE ROLE OF THE PATIENT VOICE IN THE DEVELOPMENT OF
7 INNOVATIVE TECHNOLOGIES. AND THE REASON WHY THIS
8 HAS COME UP IS THERE'S BEEN SORT OF A PENDULUM SWING
9 BACK AND FORTH IN TERMS OF HOW DO YOU GET INNOVATION
10 INTO IMPLEMENTATION AND INTO PRACTICE?

11 WE HEARD YESTERDAY FROM JEFF SHEEHY ABOUT
12 THE ROLE OF THE PATIENT ADVOCATES DURING THE HIV
13 EPIDEMIC AND HOW PRIOR TO 1987 THERE WERE REALLY NO
14 THERAPIES THAT WERE AVAILABLE TO PATIENTS WITH
15 REALLY DEVASTATING DISEASES. AND IT WAS REALLY THE
16 PATIENT ADVOCATE VOICE THAT REALLY GARNERED ENERGY
17 AND CATALYZED EXPERTS AND REALLY DEVELOPED A FORCE
18 TO REALLY PUT AN URGENCY AND PRESSURE ONTO THE
19 REGULATORY AGENCY TO THINK ABOUT NEW WAYS IN TERMS
20 OF ACTUALLY HELPING CATALYZE THE DEVELOPMENT OF NEW
21 TECHNOLOGIES DEVOTED TOWARDS A PARTICULAR EPIDEMIC
22 FOCUS.

23 AND IT WAS BECAUSE OF THIS THAT THE
24 REGULATORY AGENCY ACTUALLY THOUGHT ABOUT NEW WAYS TO
25 DO ITS BUSINESS. AND IT LED TO THE CREATION OF WHAT

BARRISTERS' REPORTING SERVICE

1 WAS CALLED ACCELERATED APPROVAL IN 1992 WHERE DRUGS
2 COULD THEN BE REVIEWED AND APPROVED ON THE BASIS OF
3 A VALIDATED BIOMARKER, IN THAT INSTANCE, VIRAL LOAD,
4 AS OPPOSED TO DOING A BODY COUNT, WAITING TILL
5 PEOPLE DIED, WAITING TILL PEOPLE DEVELOPED AN
6 OPPORTUNISTIC INFECTIOUS DISEASE.

7 SO WITH THAT IN MIND, THE HASTINGS CENTER
8 AND CIRM WAS REALLY INTERESTED, AND THERE REMAINS A
9 TREMENDOUS DEGREE OF MEDICAL CONDITIONS FOR WHICH
10 THERE REALLY IS AN UNMET MEDICAL NEED, WHAT CAN BE
11 DONE TO TRY AND CATALYZE HOW WE APPROACH INNOVATION
12 IN MEDICAL TECHNOLOGIES IN THE REGULATORY PROCESS.

13 SO WHAT CIRM DID WAS HELP SPONSOR AND ALSO
14 HELPED PROVIDE SOME INSIGHTS INTO THE DESIGN OF THE
15 AGENDA FOR THAT CONFERENCE ON THE ROLE OF THE PUBLIC
16 VOICE IN DEVELOPING NEW MEDICAL TECHNOLOGIES. IT
17 WAS LED BY DR. MICHAEL GUSMANO, A RESEARCH SCHOLAR
18 AT THE HASTINGS CENTER, ALSO AN ASSOCIATE PROFESSOR
19 OF HEALTH POLICY MANAGEMENT AT NEW YORK MEDICAL
20 COLLEGE. AND 20 PARTICIPANTS WERE INVITED TO THIS
21 CONFERENCE. SO IT WAS A VERY SMALL, INTERACTIVE
22 CONFERENCE, AND IT WAS THOUGHT THAT IT WOULD BE ONE
23 OF MULTIPLE FACE-TO-FACE SESSIONS THAT WOULD TAKE
24 PLACE. SO THIS IS NOT THE END OF THE DISCUSSION. I
25 GUESS YOU COULD SAY IT'S REALLY A CONTINUING

BARRISTERS' REPORTING SERVICE

1 DISCUSSION THAT'S GOING TO TAKE PLACE AND EVOLVE.
2 BUT THESE PARTICIPANTS INCLUDED THE
3 REGULATORY AGENCY. WHAT HAPPENS A LOT OF TIMES IS
4 PEOPLE GET TOGETHER IN A CONFERENCE, AND THE VOICE
5 THAT ISN'T IN THE ROOM IS THE ONE THAT'S THE CAUSE
6 OF ALL THE PROBLEMS. SO WHAT WAS DONE IS ACTUALLY
7 INCLUDE THE MAJOR STAKEHOLDERS IN THAT CONFERENCE.
8 SO IT INCLUDED THE FDA STAFF, WHO IS ACTUALLY AT
9 THIS JUNCTURE VERY INTERESTED IN TRYING TO SEE WHAT
10 COULD THEY DO DIFFERENTLY IN INVOLVING THE PATIENT
11 IN DISCUSSING MEDICAL TECHNOLOGIES. IT ALSO
12 INCLUDED REPRESENTATIVES OF SEVERAL PATIENT GROUPS,
13 INDUSTRY AND HEALTH POLICY SCHOLARS, INCLUDING
14 EXPERTS ON THE REGULATORY PROCESS AND THE ROLE OF
15 PATIENTS IN HEALTH POLICY DECISION-MAKING.

16 FROM CIRM DR. DUANE ROTH, WHO'S VICE CHAIR
17 OF OUR ICOC, OUR BOARD, AND IS ALSO HEAD OF AN
18 ORGANIZATION CALLED CONNECT, WHICH IS BASED IN SAN
19 DIEGO, AND REALLY TRIES TO HELP ENTREPRENEURS
20 DEVELOP THEIR MEDICAL TECHNOLOGY. AND I ALSO
21 ATTENDED AND PARTICIPATED IN THIS DISCUSSION.

22 IT WAS A DISCUSSION-ORIENTED AGENDA.
23 THERE WERE BACKGROUND PAPERS AND POLICY ISSUES THAT
24 WERE SENT TO THE PARTICIPANTS IN ADVANCE AND WHICH
25 WE WERE ALL EXPECTED TO READ. AND THEN ACTUALLY AT

BARRISTERS' REPORTING SERVICE

1 THE TIME OF THIS TWO-DAY WORKSHOP, THERE WERE SHORT
2 BRIEFING-TYPE PRESENTATIONS THAT WERE LED BY EXPERTS
3 IN RESEARCH, ETHICAL, LEGAL DISCIPLINES, AND THEY
4 REALLY LED MORE OF AN INTERACTIVE DISCUSSION DURING
5 THIS TIME PERIOD OF THE TWO-DAY CONFERENCE.

6 I GUESS THIS IS WHAT HAPPENS WHEN YOU GO
7 FROM PC TO MAC.

8 SO AT ANY RATE, THE MAJOR ISSUE AND THE
9 FOCUS FOR THIS WORKSHOP IS WHAT ROLE SHOULD PATIENTS
10 AND ALSO THE BROADER PUBLIC, THE CONSUMERS, PLAY IN
11 DEVELOPMENT OF NEW MEDICAL TECHNOLOGY. THE PATIENT
12 VOICE IS IMPORTANT. I THINK THAT'S BEEN ACCEPTED
13 ACROSS A MAJOR SWATH OF OUR ESTABLISHMENT. WHAT
14 PEOPLE WERE TRYING TO GRAPPLE WITH IS HOW MUCH
15 INFLUENCE AND HOW MANY SHOULD BE INVOLVED AND AT
16 WHAT POINT IN THE CONTINUUM OF THE DEVELOPMENT OF
17 TECHNOLOGY SHOULD THAT REALLY BE FOCUSED? WHAT'S
18 THE VALUE? ARE CURRENT MECHANISMS FOR PATIENT AND
19 CONSUMER VOICE IN THE FDA PROCESS SUFFICIENT? AND
20 WHAT MORE SHOULD THE AGENCY DO?

21 SO THIS CONFERENCE WAS VERY MUCH FOCUSED
22 ON THE REGULATORY AGENCY IN THE UNITED STATES, WHICH
23 IS THE MAJOR AGENCY FOR APPROVING, REVIEWING, AND
24 APPROVING NEW TECHNOLOGIES. AND BY TECHNOLOGIES,
25 I'M BEING VERY BROAD. I'M TALKING ABOUT DRUGS,

BARRISTERS' REPORTING SERVICE

1 BIOLOGICS, CELL THERAPIES, DEVICES, A VARIETY OF
2 DIFFERENT PRODUCTS AND THERAPIES THAT COULD BE
3 HELPFUL TO PATIENTS WITH HIGH UNMET MEDICAL NEEDS.
4 AND WHAT MORE SHOULD THE AGENCY DO?

5 SO THE VALUE OF INCLUDING PATIENTS AND
6 CONSUMERS IN A DELIBERATIVE PROCESS COULD REALLY
7 PROVIDE AN ENHANCED POTENTIAL TO BROADEN THE MEANING
8 OF BENEFITS AND RISKS. JUST LIKE WE SEE AT CIRM, IT
9 WAS REALLY THE OPPORTUNITY TO GO BEYOND THE
10 TECHNICAL AND THE SCIENTIFIC AND TO THINK ABOUT WHAT
11 IMPORTANT VALUES AND BENEFITS WOULD BE IMPORTANT TO
12 THE PATIENT, THE PERSON WHO ACTUALLY HAS THE
13 CONDITION, AND AT WHAT POINT IN THE DEVELOPMENT OF
14 THE DISEASE WOULD THEY BE WILLING TO TAKE A CERTAIN
15 PERCENTAGE OF RISK, AND HOW TO INCLUDE THAT INPUT
16 INTO THE OVERALL REVIEW AND APPROVAL OF THESE TYPES
17 OF TECHNOLOGIES.

18 BUT WAY BEFORE THE APPROVAL STAGE, HOW CAN
19 YOU INCLUDE THAT INPUT MUCH EARLIER SO THAT WHEN
20 COMPANIES OR ACADEMICS ARE THINKING ABOUT DEVELOPING
21 THERAPIES, HOW CAN THEY TAKE THAT VOICE AND THOSE
22 PERSPECTIVES AND TAKE IT INTO CONSIDERATION AS
23 THEY'RE EVEN TRYING TO DEVELOP THEIR RESEARCH
24 STUDIES OR DEVELOP THEIR CLINICAL STUDIES?

25 SO IT WAS ALSO TRYING TO PAY INCREASED

BARRISTERS' REPORTING SERVICE

1 ATTENTION TO THE HETEROGENEITY AND THE VALUE OF WHAT
2 WE CALL CONDITIONALITY, THAT WE KNOW ALL PATIENTS
3 DON'T SPEAK WITH THE SAME VOICE. THEY ALSO HAVE
4 DIFFERENT PERSPECTIVES. THEY'RE AT DIFFERENT POINTS
5 IN TIME IN THEIR MEDICAL CONDITION. AND, THEREFORE,
6 THERE MAY BE DIFFERENT RISKS THAT DIFFERENT
7 INDIVIDUALS ARE WILLING TO TAKE BASED UPON WHERE
8 THEY'RE SITTING.

9 IN ADDITION, WHAT WE WON'T BE TALKING
10 ABOUT TODAY, BUT ALSO HAS TO BE CONSIDERED IS THE
11 ROLE OF THE CONSUMER OR EVEN OF THE PAYER. AND WHAT
12 IS OF VALUE TO THEM? AND IT MAY BE VERY DIFFERENT
13 THAN WHAT'S OF VALUE TO THE PATIENT, AS WE KNOW, AND
14 SO HOW TO TAKE INTO CONSIDERATION WHAT'S GOING ON IN
15 HEALTH ECONOMICS BECAUSE AT END OF THE DAY, WE JUST
16 DON'T WANT TO COMPLETE A SUCCESSFUL RESEARCH
17 EXPERIMENT THAT SOMEBODY CAN PUBLISH OR BE ABLE TO
18 GET THE DATA TO GET ANOTHER GRANT, BUT WE WANT
19 SOMETHING THAT CAN BE UTILIZED BEYOND A CLINICAL
20 TRIAL, ACTUALLY GET INTO CLINICAL PRACTICE. SO WE
21 NEED TO THINK OF THE VALUE TO THE PATIENTS, TO THE
22 PROVIDER, AND HOW TO MAKE SURE WE'RE ALL ON THE SAME
23 PAGE ABOUT MOVING THAT PRODUCT FORWARD SO THAT
24 ACTUALLY IT WILL BE REIMBURSED AND PAID FOR AND
25 PEOPLE CAN PRESCRIBE IT, PEOPLE CAN USE IT.

BARRISTERS' REPORTING SERVICE

1 IT WAS ALSO TO THINK ABOUT HAVING A MORE
2 OPEN AND TRANSPARENT PROCESS THAT CAN REALLY ENHANCE
3 LEGITIMACY AND TRUST IN THE PROCESS. WE DO THIS AT
4 CIRM AS WELL BECAUSE ALL OF OUR PUBLIC MEETINGS --
5 WELL, WHAT I SHOULD SAY IS ALL OF OUR MEETINGS ARE
6 HELD IN PUBLIC WITH OUR BOARD MEETINGS. AND SO WHAT
7 WE TRY AND DO IS BE VERY TRANSPARENT ABOUT THE
8 TOPICS, ABOUT HOW WE WORK, AND IT'S THOUGHT THAT
9 THESE KIND OF DISCUSSIONS WITH THE AGENCY, IN ORDER
10 TO ENHANCE LEGITIMACY AND TRUST, PROBABLY NEED TO BE
11 HELD IN PUBLIC SETTINGS, THAT THESE CLOSED-DOOR DEAL
12 BREAKING MAY NOT ENGENDER MUCH TRUST. SO THERE WAS
13 A THOUGHT OF HOW TO BE VERY TRANSPARENT ABOUT WHAT
14 THE PROCESS IS AS WELL.

15 SO SOME OF THE CURRENT AND PROPOSED FDA
16 INITIATIVES ARE THE FOLLOWING. BECAUSE THE FDA WAS
17 PRESENT AT THIS MEETING, THEY WERE THERE FROM THEIR
18 STRATEGIC PLANNING OFFICE, AND THEY WERE TALKING
19 ABOUT THE DIFFERENT WAYS IN WHICH THEY TRY AND
20 ENGAGE THE PATIENTS AND ALSO THE CONSUMER. I THINK
21 MANY OF US MAY BE AWARE OF A PROGRAM THAT THEY HAVE
22 IN PLACE FOR ADVISORY COMMITTEES WHERE THERE'S A
23 SLOT ON AN ADVISORY COMMITTEE. AND AN ADVISORY
24 COMMITTEE IS A PUBLIC MEETING THAT THE FDA HOLDS TO
25 REVIEW THE DATA ON A PARTICULAR PRODUCT TO CONSIDER

BARRISTERS' REPORTING SERVICE

1 WHETHER OR NOT IT SHOULD BE APPROVED FOR
2 COMMERCIALIZATION. AND THERE'S A SLOT ON THAT
3 ADVISORY COMMITTEE THAT'S HELD FOR A PATIENT TO
4 PROVIDE THE, QUOTE, PATIENT PERSPECTIVE, AND THERE'S
5 ALSO A SLOT FOR A CONSUMER, A PUBLIC CONSUMER. AND
6 IT COULD BE SOMEBODY INVOLVED IN POLICY OR OTHER
7 ASPECTS.

8 WE'RE FAMILIAR WITH THAT. BUT BECAUSE
9 THOSE ADVISORY COMMITTEES ARE FEW AND FAR BETWEEN
10 AND THERE'S A SINGLE SLOT, THERE'S REALLY A VERY
11 SMALL NUMBER OF PATIENTS AND CONSUMERS THAT CAN
12 REALLY PARTICIPATE IN THAT.

13 THE OTHER PART OF THE ADVISORY COMMITTEE
14 THAT ENGAGES THE PUBLIC IS A SEGMENT CALLED THE
15 PUBLIC COMMENT PERIOD WHERE BASICALLY WHAT HAPPENS
16 IS THERE'S AN OPEN MICROPHONE AT A SET TIME IN THE
17 FULL-DAY CONFERENCE. MEMBERS OF THE PUBLIC,
18 INCLUDING PATIENTS, CAN GET UP AND PROVIDE THREE TO
19 FIVE MINUTES OF THEIR PERSPECTIVE. AND THEY'RE
20 REALLY ANECDOTES, AND IT'S A WAY TO PROVIDE PUBLIC
21 COMMENT, BUT IT'S REALLY NOT A DISCUSSION. IT'S
22 USUALLY THANK YOU VERY MUCH FOR YOUR COMMENTS, AND
23 THEN THEY SIT DOWN, AND THEN THE COMMITTEE GOES BACK
24 TO WORK ON WHAT THEY WERE DOING. SO IT'S A WAY, BUT
25 IT'S NOT A VERY SATISFYING WAY OF REALLY HAVING A

BARRISTERS' REPORTING SERVICE

1 DISCUSSION.

2 SO WHAT THE FDA IS THINKING OF DOING IS
3 NOT JUST HOW TO EXPAND THE ROLE AND THINK ABOUT
4 PATIENTS IN DIFFERENT PARTS OF THE PRODUCT
5 DEVELOPMENT SPECTRUM, BUT HOW TO INCREASE THE
6 NUMBERS OF PATIENTS AND CONSUMERS THAT CAN BE
7 INVOLVED IN THE DISCUSSION. SO THEY'RE ACTIVELY
8 LOOKING TO HAVE MORE OF A PATIENT REPRESENTATIVE
9 PROGRAM WHERE THEY REALLY TALK WITH ORGANIZATIONS
10 AND REALLY HAVE MORE OF A DIALOGUE WITH PATIENT
11 REPRESENTATIVES ON PARTICULAR TOPICS.

12 THEY ALSO HAVE CURRENTLY A RESEARCH
13 ADVOCACY PROGRAM, BUT UNLESS THE PATIENT
14 ORGANIZATION HAPPENS TO STUMBLE ACROSS THIS PROGRAM
15 ON A WEBSITE, PROBABLY MOST PATIENT ORGANIZATIONS
16 AREN'T AWARE OF THIS PROGRAM. THEY'RE ALSO TRYING
17 TO ESTABLISH MORE OF AN FDA/PATIENT NETWORK, MORE OF
18 AN EDUCATION AND ADVOCACY TOOL. IN ADDITION,
19 THEY'RE WORKING ON A NEW BENEFIT RISK ASSESSMENT
20 TOOL THAT IS REALLY LOOKING AT PARTICULAR DIFFERENT
21 PARAMETERS OF A PRODUCT THAT WOULD BE IMPORTANT TO
22 TRY AND QUANTITATE AND BALANCE THE BENEFITS AS WELL
23 AS THE RISKS OF A PRODUCT AS IT'S GOING THROUGH AND
24 TRY TO PROVIDE MORE OF AN ANALYTICAL, QUANTITATIVE
25 FRAMEWORK TO MAKE A DECISION.

BARRISTERS' REPORTING SERVICE

1 OFTEN WHAT YOU SEE ABOUT A PRODUCT IS
2 THERE'LL BE SORT OF A PRESENTATION ON THE RISKS,
3 THERE'S A SEPARATE PRESENTATION ON THE EFFICACY, AND
4 THEN PEOPLE GO THROUGH QUITE A BIT OF ANGST TRYING
5 TO WEIGH DO THOSE BENEFITS OUTWEIGH THE SIDE EFFECTS
6 THAT COULD HAPPEN. SO WHAT THIS FRAMEWORK, THIS
7 TOOL, IS TRYING TO DO IS LAY OUT WHAT THOSE
8 DIFFERENT RISKS ARE, THE EFFICACY, THE LEVEL OF
9 UNCERTAINTY AROUND WHETHER THOSE BENEFITS OR RISKS
10 COULD OCCUR, AND THEN THINK ABOUT A WAY OF HOW YOU
11 CAN MITIGATE SOME OF THOSE SIDE EFFECTS AND HOW
12 EFFECTIVE THAT MITIGATION MIGHT BE AND ALSO CONSIDER
13 OTHER ALTERNATIVE THERAPIES THAT PATIENT COULD BE
14 TAKING.

15 WHAT IT'S TRYING TO DO IS PROVIDE AN
16 ANALYTICAL, QUANTITATIVE TOOL SO THAT YOU CAN
17 ACTUALLY COME UP WITH A MORE STANDARDIZED WAY OF
18 EVALUATING THE BENEFIT RISK EQUATION. WHAT THE
19 AGENCY IS THINKING ABOUT IS HOW TO GET THE PATIENT
20 PERSPECTIVE INTO DEVELOPING THIS TOOL AND USING THIS
21 TOOL AT DIFFERENT ASPECTS OF THE PRODUCT DEVELOPMENT
22 SPECTRUM.

23 THE OTHER QUESTION THAT CAME TO MIND AND
24 WAS DISCUSSED DURING THIS WORKSHOP WAS THE
25 IMPORTANCE OF REACHING OUT TO A BROADER RANGE OF

BARRISTERS' REPORTING SERVICE

1 VOICES. THE BIG QUESTION IS HOW REPRESENTATIVE ARE
2 THE REPRESENTATIVES? A BIG ISSUE AROSE IS THERE'S
3 MAYBE A FINITE NUMBER OF PATIENT ADVOCATE
4 ORGANIZATIONS WHO GET TO SIT IN ON MEETINGS OR
5 PARTICIPATE. ARE THEY REALLY REPRESENTATIVE OF THE
6 BROADER COMMUNITY OF PATIENTS? DO THEY GO BACK AND
7 TALK TO THEIR ORGANIZATION? DO THEY SOLICIT INPUT?
8 DO THEY TRY TO REPRESENT MORE THAN JUST THEMSELVES,
9 BUT ACTUALLY A MUCH BROADER ORGANIZATION OF
10 COMMUNITY OF PEOPLE WITH THAT CONDITION?

11 THE OTHER ISSUE THAT CAME UP WAS THE
12 PROBLEM OF DEFERRING TO EXPERTS. YOU MAY HAVE THESE
13 DIFFERENT INDIVIDUALS AROUND THE TABLE, BUT HOW DO
14 YOU MAKE SURE THAT SOME PEOPLE DON'T HAVE A STATUS
15 OF A SECOND-CLASS CITIZEN? HOW DO YOU MAKE SURE
16 THAT THERE'S NOT A CONTINUOUS DEFERRAL TO THE
17 EXPERTS AS OPPOSED TO HAVING A MORE BALANCED
18 DISCUSSION?

19 IN ADDITION, SOME ADVOCACY RAISE THE ISSUE
20 OF TRYING TO AVOID THE URGENCY NARRATIVE. OFTEN WE
21 MAY GET MORE TESTIMONIALS OF PEOPLE WHO COME IN WITH
22 A VERY EMOTIONAL, VERY TEARFUL, BUT NOT NECESSARILY
23 EVIDENCE-BASED EXPLANATION OF WHAT THE ISSUES ARE.
24 AND IT'S VERY HEART RENDING AND IT'S VERY IMPORTANT
25 TO HEAR; BUT WHEN YOU'RE THINKING OF THE AGENCY AND

BARRISTERS' REPORTING SERVICE

1 THE PUBLIC HEALTH ISSUES THEY HAVE TO DEAL WITH, HOW
2 DO YOU MAKE SURE THAT YOU GET THE EVIDENCE AND THE
3 DATA INTO THAT DISCUSSION IN ADDITION TO THE VERY
4 INDIVIDUALIZED, PERSONALIZED, MORE EMOTIONAL APPEAL
5 OF THE ISSUE?

6 SO THE MAJOR THEME WAS REALLY TO INCLUDE
7 THE VOICE OF CONSUMERS AND PATIENT AND BALANCE THE
8 NEED FOR MORE VOICES AND THE VALUE OF REGULAR
9 INTERACTION AMONG THESE GROUPS.

10 SO THE POINT WAS TO THINK ABOUT A WAY TO
11 MOVE BEYOND JUST HAVING PATIENTS BE ENGAGED AT THE
12 REVIEW PROCESS AT THE END OF THE ROAD, SO TO SPEAK.
13 ALL THE STUDIES HAVE ALREADY BEEN DONE, WE'RE NOW
14 COMING FORWARD FROM THE COMPANY OR THE SPONSOR TO
15 PUT A NEW TECHNOLOGY POTENTIALLY INTO THE
16 MARKETPLACE, AND THAT'S WHEN THE PATIENTS ARE
17 INVOLVED. WELL, THAT'S AT THE VERY END OF THE GAME.
18 WHAT IF THE END POINTS WEREN'T DESIGNED RIGHT? WHAT
19 IF PARTICULAR QUALITY OF LIFE PARAMETERS WEREN'T
20 CONSIDERED? WHAT IF A VARIETY OF DIFFERENT RISK
21 ISSUES WEREN'T THOUGHT OF IN THE EQUATION? AND NOW
22 YOU'RE AT THE END. YOU CAN'T REALLY CHANGE IT AT
23 THAT POINT IN TIME. IS THERE SOMETHING MUCH SOONER
24 THAN THAT WHERE YOU COULD INVOLVE PATIENTS AND
25 CONSUMERS?

BARRISTERS' REPORTING SERVICE

1 SO THERE'S A QUESTION OF SHOULD THERE BE
2 SOME SORT OF CITIZENS COUNCIL TO ADDRESS POLICY
3 QUESTIONS? SHOULD THEY SUPPLEMENT EXISTING PROGRAMS
4 WITH ADDITIONAL DELIBERATIVE METHODS? SHOULD THEY
5 DO SURVEYS WITH DELIBERATIVE POLLING, HAVE CITIZEN
6 JURIES, HAVE MORE CONSENSUS CONFERENCE AND TOWN HALL
7 MEETINGS WITH PATIENTS?

8 THE THEME THAT CAME OUT IS THAT THE AGENCY
9 DOESN'T ASK ENOUGH OF THE PATIENTS OR OF THE
10 CONSUMERS, THAT ACTUALLY WE SHOULD BE ASKING MORE.
11 I KNOW AT CIRM WE ASK A LOT OF OUR PATIENT
12 ADVOCATES. WE ENGAGE THEM IN ALL OF OUR BOARD
13 MEETINGS, IN OUR SUBCOMMITTEE MEETINGS, AND IN A
14 VARIETY OF DIFFERENT AREAS. BUT IT REALLY INVOLVES
15 PROBABLY A VARIETY OF DIFFERENT AREAS, NOT JUST AN
16 ADVISORY MEETING. BUT IN ORDER FOR THEIR ROLE TO BE
17 CREDIBLE, THERE NEEDS TO BE TRAINING. THAT IT
18 SHOULD FOCUS ON THE PROCESS OF DELIBERATION IN
19 ADDITION TO THE CONTENT OF WHAT THEY'RE GOING TO
20 TALK ABOUT. SO REALLY SOME TRAINING IN HOW TO HAVE
21 A VERY VALUABLE DELIBERATIVE PROCESS.

22 I DON'T MEAN TO SAY THE TRAINING SHOULD
23 ONLY BE LIMITED TO PATIENTS. IT SHOULD BE EXPANDED
24 TO SCIENTISTS AND TO CLINICIANS AS WELL. AND THAT
25 REGULAR INTERACTION IS VALUABLE, AND THAT GENUINE

BARRISTERS' REPORTING SERVICE

1 DELIBERATION REALLY REQUIRES A BIDIRECTIONAL
2 CONVERSATION BETWEEN SCIENTISTS AND ACTIVISTS, THAT
3 IT REQUIRES ENGAGEMENT FROM THE OUTSET IN THE
4 FRAMING OF THE ISSUE AS WELL AS ITS IMPLEMENTATION,
5 THAT REQUIRES ONGOING COLLABORATION BETWEEN
6 MEETINGS, THAT THERE SHOULD BE CLEAR EXPECTATION ON
7 ALL SIDES, AND THAT THERE SHOULD BE EQUAL
8 PARTICIPATION SO THAT ADVOCATES DO NOT FEEL AS
9 THOUGH THEY ARE SECOND IN RANK.

10 I KNOW DURING OUR REVIEW PROCESS FOR
11 SCIENTIFIC PRESENTATIONS, WE HAVE SIX OR SEVEN
12 DIFFERENT PATIENT ADVOCATES THAT SIT ON WHAT WE CALL
13 OUR GRANTS REVIEW GROUP. SO WE HAVE SCIENTISTS AND
14 EXPERTS IN THE FIELD AND WE HAVE OUR PATIENT
15 ADVOCATES. AND THEN WE HAVE A CERTAIN PART OF THE
16 REVIEW SESSION THAT WE CALL PROGRAMMATIC WHERE WE
17 HAVE THE PATIENT ADVOCATES LEAD THAT SESSION. BUT
18 IT'S A DELIBERATIVE DISCUSSION AND INTERACTION THAT
19 TAKES PLACE. AND I THINK PEOPLE HAVE FOUND THAT TO
20 BE A VERY VALUABLE PART OF THE PROCESS.

21 SO SOME OF THE VERY PRELIMINARY
22 RECOMMENDATIONS FROM THIS CONFERENCE ARE THE
23 FOLLOWING. ONE IS THAT THERE SHOULD BE A GREATER
24 OUTREACH TO IDENTIFY A BROADER RANGE OF
25 STAKEHOLDERS, THAT THE FDA SHOULD ADOPT AN ACTIVE

BARRISTERS' REPORTING SERVICE

1 RATHER THAN A PASSIVE APPROACH, THAT THEY SHOULD BE
2 REACHING OUT TO GROUPS THAT NOT ONLY HAVE WORKED
3 WITH THE FDA IN THE PAST, BUT POSTING INFORMATION ON
4 THE WEBSITE ABOUT THE OPPORTUNITIES WHERE PATIENTS
5 COULD INTERACT, AND THAT THE FDA SHOULD MORE
6 ACTIVELY WORK WITH PROFESSIONAL ASSOCIATIONS,
7 UNIVERSITIES, INDUSTRY, AND ADVOCACY GROUPS TO
8 IDENTIFY A BROADER RANGE OF PARTICIPANTS.

9 I KNOW WE AT CIRM ARE TRYING TO DO THAT AS
10 WELL. WE'RE VERY APPRECIATIVE OF THE PATIENTS AND
11 THE ORGANIZATIONS THAT WORK WITH US NOW, BUT WE KNOW
12 WE NEED TO DO MORE IN TERMS OF REACHING OUT TO A
13 BROADER COMMUNITY OF PATIENT ADVOCATES.

14 WE WANT THE FDA TO DEVELOP NEW MECHANISMS
15 FOR PUBLIC INPUT, TO MOVE BEYOND THE ADVISORY AND
16 REVIEW COMMITTEES, THAT THESE MECHANISMS ARE AND
17 REMAIN IMPORTANT, BUT THEY DON'T REFLECT THE RANGE
18 OF DECISIONS IN WHICH PUBLIC INPUT COULD BE
19 RELEVANT. RIGHT NOW IN THE ADVISORY COMMITTEE
20 THERE'S VERY RIGOROUS CONFLICT OF INTEREST
21 REQUIREMENTS FOR PARTICIPATION ON ADVISORY AND
22 REVIEW COMMITTEES THAT REALLY RESTRICT THE NUMBER OF
23 PARTICIPANTS WHO CAN ENGAGE WITH THE FDA. SO THERE
24 NEEDS TO BE A BROADER VENUE OF MECHANISMS WHERE
25 INTERACTION COULD TAKE PLACE.

BARRISTERS' REPORTING SERVICE

1 IN ADDITION, IT WAS RECOMMENDED THAT THE
2 FDA REALLY ENCOURAGE REPRESENTATIVES TO REPORT BACK
3 TO THE GROUPS THAT THEY REPRESENT AND ENCOURAGE THEM
4 TO SEEK INPUT FROM THOSE GROUPS.

5 THE OTHER RECOMMENDATION WAS THAT THE FDA
6 SHOULD PROVIDE TRAINING ON THE PROCESS OF
7 DELIBERATION, AND THAT THIS TRAINING SHOULD BE
8 OFFERED TO SCIENTIFIC EXPERTS AS WELL AS TO MEMBERS
9 OF THE PUBLIC, THE PATIENTS, AND CONSUMER ADVOCATES.

10 THERE WAS GREAT ENTHUSIASM FOR UTILIZING
11 THIS NEW BENEFIT RISK ASSESSMENT TOOL TO SOLICIT
12 PERSPECTIVES FROM A BROADER SET OF STAKEHOLDERS,
13 THAT THIS TOOL COULD REALLY ENCOURAGE MORALE RAISING
14 AND SHOULD NOT BE LIMITED TO THE REVIEW PROCESS, AND
15 THAT IF THE FDA DID PROVIDE SUFFICIENT TRAINING AND
16 TECHNICAL INFORMATION, THIS TOOL COULD REALLY
17 EMPOWER PUBLIC REPRESENTATIVES TO ADDRESS A RANGE OF
18 IMPORTANT QUESTIONS.

19 AND I RECENTLY PUT THE LEADER OF THIS
20 CONFERENCE IN CONTACT WITH THE EUROPEAN MEDICINE'S
21 AGENCY BECAUSE THEY HAVE BEEN USING A BENEFIT RISK
22 TOOL FOR SEVERAL YEARS, AND THINKING ABOUT MAYBE
23 SHARING EXPERIENCES AND LESSONS LEARNED FROM THE
24 EUROPEAN WITH THE U.S. SO THAT PERHAPS THEY COULD
25 TRY AND HARMONIZE SOME OF THESE ISSUES TOGETHER.

BARRISTERS' REPORTING SERVICE

1 AND THEN ONCE THESE THINGS ARE PUT IN
2 PLACE, TO REALLY DEVELOP EVALUATIONS OF EACH PROCESS
3 THAT ARE DESIGNED TO ENCOURAGE PUBLIC PARTICIPATION
4 AND ASSESS TO WHAT EXTENT THESE PROCESSES ARE FAIR,
5 FLEXIBLE, AND TRANSPARENT.

6 SO THAT'S A VERY BRIEF DIGEST OF A TWO-DAY
7 CONFERENCE IN WHICH THERE WERE A LOT OF VERY
8 INTERESTING, PROVOCATIVE ISSUES THAT WERE RAISED IN
9 WHICH I THINK SOME OF THE MAJOR STAKEHOLDERS WERE
10 PRESENT. SO IT WAS A VERY CONSTRUCTIVE, I THINK,
11 PRODUCTIVE MEETING. I THINK IT IS GOING TO RESULT
12 IN SOME FUTURE DISCUSSIONS AS WELL. WE'D CERTAINLY
13 BE INTERESTED IN SOME OF YOUR THOUGHTS AND
14 PERSPECTIVES ON THIS PROCESS AND PERHAPS HOW YOU OR
15 CIRM MIGHT BE FURTHER ENGAGED IN IT. I THINK IT IS
16 GOING TO LEAD FROM THIS TYPE OF DISCUSSION PERHAPS
17 IN SOME CHANGES IN WHICH THE PATIENT VOICE, THE
18 PUBLIC VOICE COULD HAVE MORE OF A TRANSPARENT INPUT
19 INTO SOME OF THESE REGULATORY PROCESSES.

20 CHAIRMAN LO: QUESTIONS? COMMENTS? I WAS
21 ASKING FOR QUESTIONS AND COMMENTS.

22 DR. PRIETO: I JUST WONDERED HOW MUCH OF A
23 BARRIER WAS FELT THAT THE CONFLICT OF INTEREST
24 ISSUES WERE FOR PATIENT ADVOCATES. I HAVEN'T SEEN
25 THAT AS A MAJOR STUMBLING BLOCK AT LEAST ON THE ICOC

BARRISTERS' REPORTING SERVICE

1 FOR THOSE OF US IN THE ADVOCACY COMMUNITY. JUST
2 WONDERED IF YOU COULD FLESH OUT THAT DISCUSSION A
3 LITTLE MORE.

4 DR. FEIGAL: IT WAS BROUGHT UP AS AN
5 ISSUE. I ACTUALLY THINK IT'S A BROAD ISSUE ACROSS
6 THE SCIENTIFIC, CLINICAL, TECHNICAL EXPERTS AS WELL.
7 BUT IT DOES RAISE AN ISSUE. FOR EXAMPLE, YOU KNOW,
8 IF THERE'S ANY KIND OF -- FOR EXAMPLE, SOME PATIENT
9 ORGANIZATIONS RECEIVE FUNDING FROM PHARMACEUTICAL
10 AGENCIES OR FROM COMPANIES OR DIFFERENT. IF THERE'S
11 EVEN A PERCEPTION, IT'S NOT EVEN AN ACTUAL CONFLICT
12 OF INTEREST, BUT EVEN IF THERE'S A PERCEPTION OF
13 CONFLICT OF INTEREST, THERE'S A CONCERN WHETHER OR
14 NOT THAT COULD INFLUENCE THAT PERSON'S OPINION AND
15 PERSPECTIVES ON THE TABLE.

16 I DO AGREE IT'S PROBABLY MORE OF AN ISSUE
17 FOR THE SCIENTISTS AND CLINICIANS AND SOME OF THE
18 TECHNICAL EXPERTS THAN FOR SOME OF THE PATIENT
19 ORGANIZATIONS, BUT IT IS AN ISSUE THAT ARISES. IT'S
20 ALSO A VERY TIME-INTENSE EFFORT THAT TAKES PLACE
21 WITH THESE ADVISORY COMMITTEES, AND ONLY A
22 RELATIVELY FEW NUMBERS CAN REALLY PARTICIPATE.
23 THERE'S REALLY ONE SLOT FOR THAT TYPE OF INPUT.

24 SO IT WAS RAISED AS AN ISSUE. HOW MUCH OF
25 AN ISSUE IT IS I REALLY DON'T HAVE THAT INSIGHT TO

BARRISTERS' REPORTING SERVICE

1 TELL YOU.

2 DR. ROBERTS: THIS MAY BE A DEFINITIONAL
3 QUESTION OR MAYBE IT GOES MORE SUBSTANTIVELY TO YOUR
4 POINTS. MOST OF THE TIME YOU'RE TALKING ABOUT
5 PATIENTS, BUT SOMETIMES YOU ALSO BROUGHT IN
6 CONSUMERS. AND AT ONE POINT YOU SAID CONSUMERS AND
7 PATIENTS. SO THAT WOULD SUGGEST THEY'RE TWO
8 DIFFERENT GROUPS. I WONDERED IF YOU JUST
9 DISTINGUISH BETWEEN CONSUMERS AND PATIENTS.

10 AND THEN I WONDERED IF THERE WAS ANY
11 DISCUSSION ABOUT WHEN THEIR INTERESTS MIGHT CONFLICT
12 AND HOW THAT WOULD BE RESOLVED IN THE GREATER
13 PARTICIPATION OF CONSUMERS AND PATIENTS AND THE
14 BROADER PUBLIC.

15 DR. FEIGAL: WE ACTUALLY USED -- THE
16 PUBLIC IS THE BROAD UMBRELLA.

17 DR. ROBERTS: THAT'S EVERYBODY.

18 DR. FEIGAL: AND THERE'S PATIENTS AND
19 THERE'S PATIENT ADVOCACY ORGANIZATIONS. AND THERE
20 ARE CONSUMERS WHO MAYBE AT SOME POINT IN TIME MAY BE
21 PATIENTS, MAY NOT BE PATIENTS NOW. SO I THINK
22 ACTUALLY ALL OF THE ABOVE IS WHAT WE'RE TALKING
23 ABOUT. GENERALLY THE PATIENT SLOT ON AN ADVISORY
24 COMMITTEE IS LIMITED TO SOMEBODY WITH A DISEASE AND
25 HAS A NEAR-TERM ISSUE WITH THE DISEASE. THEY COULD

BARRISTERS' REPORTING SERVICE

1 ALSO REPRESENT THEMSELVES. THEY COULD BE A PATIENT.

2 GENERALLY FOR ADVISORY COMMITTEES, THEY
3 HAVE A BROADER INFLUENCE. THEY'RE PART OF AN
4 ORGANIZATION, SO THEY REPRESENT A GROUP. SO BY
5 PATIENTS, IT'S SOMEBODY WITH A CONDITION.

6 A CONSUMER MAY BE A PATIENT NOW, MAY BE A
7 PATIENT LATER, IT MAY BE A WRITER, IT MAY BE
8 SOMEBODY INVOLVED IN PUBLIC POLICY, MAYBE A
9 RELATIVE, A CARETAKER, BUT THEY'RE NOT AT THIS POINT
10 IN TIME PRESUMABLY THE PATIENT, BUT THEY'RE
11 INCREDIBLY ENGAGED AND INVOLVED AND INTERESTED IN
12 THE ISSUE, AND ABSOLUTELY THEY CAN'T ALL BE PAINTED
13 WITH THE SAME BRUSH. THEY MAY HAVE -- PART OF THE
14 ISSUE THAT AROSE FROM SOME CAMPS IS THE AGENCY, THE
15 PENDULUM HAS SWUNG TO THE POINT THEY'RE VERY RISK
16 AVERSE. SO ANYTHING THAT'S INNOVATIVE IS GOING TO
17 BE VIEWED MORE SKEPTICALLY.

18 WE TALKED ABOUT STEM CELL RESEARCH. TALK
19 ABOUT INNOVATION, TALK ABOUT LEVELS OF UNCERTAINTY,
20 TALK ABOUT WE DON'T KNOW WHAT SOME OF THE ISSUES ARE
21 THAT COULD ARISE. THE POINT IS WITH INNOVATION
22 UNCERTAINTY IS INHERENT, SO HOW COULD YOU QUANTITATE
23 HOW MUCH UNCERTAINTY YOU'RE WILLING TO TAKE? SO THE
24 VIEWS OF SOMEBODY WHO ACTUALLY HAS THE DISEASE, THE
25 CONCERNS OF SOMEBODY WHO'S ACTUALLY INTERESTED IN

BARRISTERS' REPORTING SERVICE

1 THE BROADER PUBLIC HEALTH POLICY, THEY DON'T WANT TO
2 HARM PEOPLE. SO HOW DO YOU BALANCE THOSE ISSUES AS
3 YOU'RE MOVING FORWARD?

4 ONE THING THAT WAS BROUGHT UP IS THIS
5 ISSUE OF CONDITIONALITY. IN THE UNITED STATES WE
6 TEND TO HAVE AN ON-OFF SWITCH. A DRUG IS EITHER
7 APPROVED OR IT'S NOT. IN EUROPE THERE MAY BE A
8 CONDITIONAL APPROVAL WHERE IT GOES INTO A CERTAIN
9 SUBSET OF THE POPULATION, AND THEN PERHAPS IN
10 ANOTHER LENGTH OF TIME IT MIGHT BE BROADENED. SO
11 THE THOUGHT WAS MADE CAN WE HAVE MORE THAN AN ON-OFF
12 SWITCH? CAN WE HAVE MORE OF A CONDITIONAL TYPE OF
13 PROCESS PUT IN PLACE?

14 DR. ROBERT TAYLOR: SO JUST TO CLARIFY
15 ACTUALLY, DOROTHY, PARTLY IN RESPONSE TO YOUR
16 QUESTION, ONE OF THE CONSUMERS, AS ELLEN MENTIONED,
17 IS THE PAYER. AND THAT'S A PARTICULAR CONSUMER WHO
18 MIGHT BE CONFLICTED WITH A PATIENT IN TERMS OF THE
19 OUTCOME. I THINK THAT'S ONE OF THE THINGS THAT WE
20 SHOULD KIND OF NOT -- I THINK THAT NEEDS TO BE
21 STATED MAYBE OUTRIGHT.

22 THE QUESTION THAT I WAS GOING TO RAISE IS
23 THAT THE OVERRIDING PHILOSOPHY OF THE FDA CURRENTLY
24 HAS BEEN VERY MUCH, I THINK, SORT OF SHROUDED IN
25 TRADE SECRECY AND IS REALLY A BLACK BOX. SO FOR

BARRISTERS' REPORTING SERVICE

1 THIS KIND OF TRANSPARENCY TO HAPPEN, IT'S GOING TO
2 HAVE TO COME FROM ABOVE THE FDA RATHER THAN FROM
3 BELOW, IT SEEMS TO ME. DO YOU SEE THAT HAPPENING?
4 IS THERE REALLY AN INTEREST IN HAVING THAT HAPPEN?
5 BECAUSE I THINK REALLY THE SORT OF CONFLICT OF
6 INTEREST PROTECTION OF THE PRODUCT SORT OF FROM AN
7 ECONOMICS POINT OF VIEW IS, IN MY VIEW, ONE OF THE
8 SORT OF STRONG FEATURES OF WHAT THE FDA DOES.
9 UNLESS THAT WERE TO REALLY CHANGE, I DON'T SEE HOW
10 THESE THINGS ARE GOING TO HAPPEN.

11 DR. FEIGAL: I DON'T SEE -- TO BE VERY
12 BLUNT, TRADE SECRETS AREN'T GOING TO BE MADE PUBLIC.
13 PROPRIETARY INFORMATION ISN'T GOING TO BE MADE
14 PUBLIC. I DON'T SEE TRANSPARENCY IN TERMS OF
15 SHARING A SPONSOR'S INNER WORKINGS ABOUT HOW THEY
16 DEVELOP SOMETHING BECAUSE THEN YOU ACTUALLY
17 UNDERMINE THE WHOLE BUSINESS. AT THE END OF THE
18 DAY, THEY HAVE TO HAVE SOME SORT OF PROTECTIONS OF
19 HOW TO MOVE FORWARD.

20 WHAT I WAS TALKING ABOUT IS TRANSPARENCY
21 OF THE PROCESS OF HOW DECISIONS GET MADE, AND COULD
22 THERE BE MORE TRANSPARENCY IN HOW YOU GET
23 DELIBERATIVE INPUT. I'M NOT SUGGESTING, AND I DON'T
24 THINK ANYBODY WOULD EVER HAVE A PROCESS WHERE ALL
25 THE COMPANY'S DATA AND INFORMATION IS OUT THERE.

BARRISTERS' REPORTING SERVICE

1 WHAT THE FDA DOES DO IS THEY DO POST THE BRIEFING
2 DOCUMENTS BEFORE ADVISORY COMMITTEE MEETINGS. AND
3 IN EUROPE THEY DO POST THE SUMMARY BASIS OF APPROVAL
4 AND THE SUMMARY BASIS OF DISAPPROVAL. THE UNITED
5 STATES ONLY POSTS SUMMARY BASIS OF APPROVALS. THEY
6 ACTUALLY DON'T SHARE INFORMATION WHEN SOMETHING IS
7 NOT APPROVED UNLESS IT'S THE TOPIC OF AN ADVISORY
8 COMMITTEE. OFTENTIMES ADVISORY COMMITTEES ARE THE
9 ONLY PUBLIC WAY YOU GET TO HEAR, IF SOMETHING'S
10 REJECTED, WHY IT WAS REJECTED. BUT IF THERE'S NOT
11 AN ADVISORY COMMITTEE, THERE WILL NOT BE A PUBLIC
12 POSTING OF THE DATA THAT WENT INTO THAT DECISION.

13 IN EUROPE, HOWEVER, THEY DO HAVE A SUMMARY
14 BASIS OF WHY THEY MIGHT REJECT AN APPLICATION.

15 CHAIRMAN LO: I WANT TO TRY AND MOVE US
16 ALONG. JEFF, YOU HAD A COMMENT OR QUESTION HERE.

17 DR. BOTKIN: QUICK COMMENT AND A
18 CIRM-RELATED QUESTION. SO THIS IS SO INTERESTING
19 AND I THINK ADDRESSES SUCH A LONG-STANDING AND
20 IMPORTANT PROBLEM. I'VE CERTAINLY SEEN COMMITTEES
21 I'VE BEEN ON, EVERYTHING FROM THE SORT OF MUTE
22 TOKENISM OF THE PUBLIC REPRESENTATIVE TO THE TEARFUL
23 URGENCY THAT, IN FACT, HAS A SIGNIFICANT INFLUENCE
24 ON HOW COMMITTEES THINK ABOUT THESE THINGS.

25 ONE THING I PARTICULARLY LIKE TOO IS JUST

BARRISTERS' REPORTING SERVICE

1 THE NOTION THAT THERE'S A SENSE OF EQUIVALENCY OF
2 PEOPLE WHO SIT AROUND THE TABLE IN THAT OFTENTIMES
3 IT'S THE PUBLIC OR PATIENT REPRESENTATIVE WHO'S
4 SUPPOSED TO REPRESENT SOME OTHER GROUP, BUT YET I,
5 SITTING HERE AS A BIOETHICIST, I'M NOT SUPPOSED TO
6 REPRESENT THE BIOETHICS COMMUNITY. OR IF I AM,
7 SOMEBODY SHOULD TELL ME THAT. SO I THINK OFTENTIMES
8 THE SCIENTISTS AND OTHER EXPERTS AREN'T SEEN AS
9 REPRESENTATIVE OF A LARGER COMMUNITY WHEN, IN FACT,
10 THEY MAY WELL BE. AND SO I THINK SORT OF PUTTING
11 THAT OUT ON THE TABLE A LITTLE BIT MORE TO
12 UNDERSTAND WHAT ROLES ARE AROUND THE TABLE.

13 SO SPECIFIC CIRM QUESTION WOULD BE, AS WE
14 THINK ABOUT PATIENT REPRESENTATIVES, SEEING A NUMBER
15 OF DIFFERENT COMMUNITIES THAT ARE REPRESENTED IN
16 THAT FASHION, ARE THERE REPRESENTATIVES OUT THERE?
17 ARE THE EMBRYO DONOR PATIENT COMMUNITY, IS THAT
18 VOICE PART OF THE CIRM PROCESS?

19 DR. FEIGAL: THE EMBRYO -- I DON'T KNOW IF
20 GEOFF WANTS TO COMMENT ON THAT, BUT WE THINK
21 CERTAINLY, IN TERMS OF OUR STANDARDS, HAVE THOUGHT
22 ABOUT THE PROTECTIONS AND THE VOICE OF WHAT WOULD BE
23 IMPORTANT TO THE DONOR. AND MAYBE GEOFF WOULD WANT
24 TO COMMENT ON THAT.

25 DR. LOMAX: IT'S A LITTLE BIT INDIRECT,

BARRISTERS' REPORTING SERVICE

1 BUT I KNOW WE HAVE COLLEAGUES FROM INSTITUTIONS, I
2 DON'T WANT TO NECESSARILY DEMAND THAT THEY COMMENT,
3 BUT PERHAPS THEY HAVE A COMMENT. BUT WHAT WE'VE
4 LEARNED FROM EMBRYO DONATION HAS LARGELY BEEN SORT
5 OF OUTREACH TO THE INSTITUTIONS THAT HAVE DERIVED
6 LINES AND REALLY A KIND OF INFORMAL HOW'S IT GOING.
7 AND THE HOW'S IT GOING THAT I'VE HEARD IS THAT WHEN
8 PEOPLE UNDERSTAND THE NATURE OF THE DONATION AND THE
9 INTENT OF THE RESEARCHERS, AND THEY REALLY DO
10 APPRECIATE GETTING A SENSE OF HOW THEIR MATERIALS
11 ARE GOING TO BE USED. THEY'RE VERY EXCITED. IT'S
12 REALLY BEEN FROM THE STANDPOINT OF PUTTING FEELERS
13 OUT THERE TO ASK THE QUESTION ARE WE MISSING
14 ANYTHING? WHAT I'M HEARING BACK IS NO. IN FACT,
15 PEOPLE REALLY APPRECIATE THE OPPORTUNITY TO HAVE
16 THAT OPTION, PARTICULARLY WITH SOMETHING LIKE AN
17 EMBRYO FOR WHICH THEY'VE MADE AN IMPORTANT BOTH
18 FINANCIAL AND SORT OF SOCIAL INVESTMENT, AND HAVING
19 THAT PARTICULAR OPTION AVAILABLE TO THAT PARTICULAR
20 PERSON IS THE SORT OF HIGHEST VALUE OPTION, IF YOU
21 WILL, AS OPPOSED TO THE OTHER ALTERNATIVES. AND THE
22 FACT THAT CALIFORNIA HAS CREATED THAT OPPORTUNITY
23 FOR THEM IS, AGAIN, VIEWED AND VALUED.

24 I SEE DENISE'S HEAD. I'M REFLECTING BACK
25 ON A CONVERSATION THAT WE HAD. SO, AGAIN, IF YOU

BARRISTERS' REPORTING SERVICE

1 HAVE SOME COMMENTS, PLEASE FILL IN THE BLANKS FOR
2 ME.

3 DR. BERNSTEIN: I THINK THAT YOU SAID IT
4 ALL. ONE AREA I WOULD SAY WITH EMBRYO DONATION IS
5 THAT --

6 DR. FEIGAL: I KNOW OUR TRANSCRIBER IS
7 GOING TO WANT YOU TO USE THE MIKE.

8 DR. BERNSTEIN: I KEEP THINKING THAT IT'S
9 CARRYING TO IT. I THINK IT WAS BOB NACHTIGALL THAT
10 DID A PAPER ON EMBRYO DONATION. ONE OF THE THINGS
11 THAT HE LOOKED AT WAS SEPARATING THE TYPES OF
12 RESEARCH THAT WERE DONE WITH EMBRYOS. SO IT'S
13 IMPORTANT TO SEPARATE THAT OUT. SOME PEOPLE ARE
14 KIND OF GUNG HO ON THE STEM CELL RESEARCH. THEY
15 WANT TO BE PART OF IT, NOT JUST SCIENTIFICALLY, BUT
16 POLITICALLY. AND THERE'S SOME PEOPLE THAT SAY YOU
17 CAN DO WHATEVER YOU WANT WITH MY EMBRYOS, BUT I
18 DON'T WANT CELLS PROPAGATED, AND I DON'T WANT THEM
19 OUT THERE FOR -- MY CELLS OUT THERE AND MY DNA OUT
20 THERE FOR ETERNITY.

21 SO AS LONG AS THEY HAVE CHOICES, I THINK
22 IT'S REALLY IMPORTANT, AND NOT JUST THE CHOICE OF
23 DONATION, BUT DONATION TO ANOTHER COUPLE IF THEY'D
24 LIKE, OR JUST DISCARDING THEM. IT'S JUST REALLY
25 IMPORTANT. AND CALIFORNIA, STATE OF CALIFORNIA

BARRISTERS' REPORTING SERVICE

1 GIVES PEOPLE LOTS OF CHOICES. SO I THINK GENERALLY
2 PEOPLE ARE HAPPY WITH THE CHOICES.

3 DR. WAGNER: ONE IS A QUESTION, WHICH IS
4 WHAT ARE YOU ASKING OF THIS COMMITTEE TO SAY OR DO?

5 DR. FEIGAL: I THINK IT WAS, ONE, PROVIDE
6 INFORMATION SO YOU'RE AWARE OF WHAT'S HAPPENING.
7 TWO, ARE THERE PARTICULAR -- SINCE WE HAVE A SEAT AT
8 THE TABLE, IS THERE PARTICULAR ETHICAL RESEARCH
9 IMPERATIVE OR CONSIDERATIONS WE SHOULD BRING INTO
10 THE DISCUSSION THAT PERHAPS IS NOT YET PART OF THE
11 DISCUSSION? AND, THREE, ANYTHING ELSE YOU THINK
12 COULD BE KEY OR RELEVANT OR WHAT YOU SEE HERE THAT
13 MAYBE MIGHT BE SOMETHING THAT MIGHT BE EXPORTABLE TO
14 HOW WE DO BUSINESS. THOSE ARE JUST SOME THOUGHTS OF
15 WHAT THIS BOARD COULD DO.

16 DR. WAGNER: I JUST WANTED TO MAKE SURE
17 THAT WE RESPONDED IN A WAY THAT MET WHAT YOUR HOPE
18 WAS. I GUESS MY COMMENT, FIRST OFF, I THINK THAT
19 THIS IS EXTREMELY IMPORTANT. IT'S SO COMPLICATED.
20 YOU'VE TOUCHED ON MANY DIFFERENT TOPICS. AND AFTER
21 A WHILE IT BECAME OVERWHELMING BECAUSE THERE ARE SO
22 MANY DIFFERENT ISSUES TO REALLY DIVE DEEPER INTO.

23 ONE THING, THOUGH, THAT MAKES IT EVEN MORE
24 COMPLEX, WHICH I'M SURE THAT YOU DISCUSSED, BUT I
25 MISSED IT HERE, WAS REALLY THE TYPES OF

BARRISTERS' REPORTING SERVICE

1 DELIBERATIONS YOU HAVE WITH THE FDA VARIES WITH WHAT
2 KIND OF TRIAL IT IS. YOU WILL NOT HAVE A PUBLIC
3 COMMENT PERIOD FOR A PHASE I TRIAL MOST FREQUENTLY,
4 YET THAT'S THE HIGHEST RISK TRIAL. YOU KNOW, I
5 THINK THAT IN TERMS OF WHAT I'VE LEARNED MOST FROM
6 CIRM, BEING INVOLVED FOR WHATEVER NUMBER OF YEARS,
7 IS REALLY HOW TO CONSIDER BEST UTILIZING THE POWER
8 OF THE PUBLIC. AND THE REASON WHY THAT HAPPENS, I
9 THINK HERE, AND THIS IS JUST OFF THE TOP AND PEOPLE
10 COULD BEAT IT DOWN, IS IN PART BECAUSE OF THE
11 PUBLICITY AROUND THIS TOPIC IN CALIFORNIA, THE
12 PUBLIC'S OBVIOUS COMMITMENT TO IT THROUGH THE
13 PROPOSITION, BUT ALSO BECAUSE THE FUNDING LEVEL IS A
14 BIT DIFFERENT.

15 WHEN THEY THINK OUTSIDE THIS AREA, IT IS
16 REALLY DIFFICULT FOR THE AVERAGE INVESTIGATOR TO
17 EVEN BEGIN TO IMPLEMENT SOMETHING THAT YOU ARE
18 BRINGING UP, ALTHOUGH VERY IMPORTANT, IN PART
19 BECAUSE OF THE FACT THAT -- ON YOUR LIST HERE YOU
20 DIDN'T MENTION NIH. FOR THE MAJORITY OF THE WORLD,
21 OUR ABILITY TO DO SUCH RESEARCH IS DEPENDENT UPON
22 THE NIH. AND THE NIH FUNDING IS AT A VERY DIFFERENT
23 LEVEL, SUCH THAT YOU WOULD LIKE TO HAVE THIS
24 INFORMATION BEFOREHAND. AND, IN FACT, THEY SHOULD
25 WANT THIS INFORMATION BEFOREHAND BECAUSE, AS YOU

BARRISTERS' REPORTING SERVICE

1 STATED FROM THE VERY BEGINNING, WITHOUT THE PUBLIC'S
2 INPUT, IT MAY INFLUENCE -- THE RESULT OF THAT
3 DISCUSSION, SHOULD IT HAVE OCCURRED, MIGHT INFLUENCE
4 NOT ONLY THE DESIGN OF THE TRIAL THAT IS TO BE
5 UNDERTAKEN, BUT COULD INFLUENCE THE FUNDING OF THAT
6 BY THE FUNDING AGENCY, WHETHER IT BE CIRM OR THE NIH
7 OR WHOEVER IT IS.

8 IN ANY EVENT, I THINK IT'S EXTRAORDINARILY
9 IMPORTANT, I THINK IT'S EXTRAORDINARILY COMPLEX, I
10 THINK THAT WE NEED TO FOCUS ON WHAT STAGE OF THE
11 RESEARCH WE'RE TALKING ABOUT. AND WHEN IT COMES
12 DOWN TO EVERYTHING THAT CIRM IS DOING NOW, IT
13 PROBABLY SHOULD BE FOCUSED MORE ON PHASE I RATHER
14 THAN THE PHASE III-IV, AND FIGURE OUT HOW YOU CAN
15 BEST DO THAT BECAUSE I THINK IT COULD SET THE STAGE
16 FOR THE REST OF THE UNITED STATES.

17 DR. FEIGAL: I DO WANT TO ADD WE DID HAVE
18 NIH REPRESENTATIVES. NIH HAS ACTUALLY REALLY LED IN
19 BRINGING IN PATIENTS AND PATIENT ADVOCACY
20 ORGANIZATIONS. THE NIH DIRECTOR HAS A DIRECTOR'S
21 CONSUMER ALLIANCE GROUP, THE NCI DIRECTOR HAS A
22 PATIENT ADVOCACY ORGANIZATION GROUP THAT IT WORKS
23 WITH. THE REVIEW COMMITTEES OF ALL OF THE NIH
24 GROUPS HAVE SOME KIND OF A PATIENT PERSPECTIVE PART
25 OF IT. USUALLY IT DEPENDS ON THEIR AREA OF

BARRISTERS' REPORTING SERVICE

1 EXPERTISE. IT MAY BE FOR CLINICALLY APPLICABLE
2 ORGANIZATION GRANTS, LOOKING AT THE INFORMED
3 CONSENTS. IT MAY BE DEPENDING IF THEY HAVE A
4 DIFFERENT AREA OF EXPERTISE INVOLVED IN THAT WAY.
5 ALL OF THE DATA SAFETY MONITORING, WHICH SETS THE
6 POLICY FOR THE LARGE TRIALS, HAVE TO HAVE A PATIENT
7 REPRESENTATIVE FOR NIH-FUNDED CLINICAL TRIALS.

8 SO THERE'S A VARIETY OF WAYS, I AGREE.
9 NIH HAS REALLY TRIED TO INCLUDE THEM. HOW THAT
10 IMPACTS ON WHICH APPLICATIONS ARE CHOSEN FOR
11 FUNDING, THAT VALUE OF INFLUENCE MAY BE DIFFERENT OR
12 NOT AS GREAT AS ONE WOULD LIKE, BUT THEY DO QUITE A
13 BIT IN TERMS OF TRYING. I AGREE AT CIRM THAT WAS
14 ONE THING WE WERE TRYING TO BRING OUT AT THIS
15 CONFERENCE IS DON'T WAIT TILL THE END. THE PACKAGE
16 IS ALREADY PUT TOGETHER. NOW YOU'RE COMING AND
17 ASKING FOR THE PATIENT VOICE. IT NEEDS TO BE REALLY
18 WHEN WE'RE DOING THE RESEARCH THAT THE PATIENT INPUT
19 AND VOICE NEEDS TO COME IN.

20 I KNOW AT CIRM WE'RE TRYING TO DO THAT
21 THROUGH THE DIFFERENT SESSIONS THAT WE HAVE. AND
22 WE'RE ASKING THE FDA IS THERE SOME PART OF THE
23 PROCESS EARLIER ON WHEN THE SPONSOR -- AT THE
24 PRE-IND STAGE, BEFORE THEY GO INTO FIRST IN HUMAN,
25 IS THERE A WAY TO GET SOME KIND OF PATIENT

BARRISTERS' REPORTING SERVICE

1 PERSPECTIVE AND INPUT?

2 SOMETIMES THEY WILL PUT TOGETHER ADVISORY
3 COMMITTEES ON A TOPIC. SOME PATIENT ADVOCATE
4 ORGANIZATIONS ORGANIZE THEIR OWN CONFERENCES TO TALK
5 ABOUT SPECIFIC ISSUES FOR EARLY CLINICAL TRIALS.
6 FRIENDS OF CANCER RESEARCH, WHO WAS PRESENT AT THIS
7 MEETING, DOES A LOT TO ACTUALLY PUT OUT WORKSHOPS
8 AND ORGANIZE THEM ON SPECIFIC TOPICS. SO I AGREE
9 WITH YOU. PROBABLY WHERE WE CAN PLAY A ROLE IS MORE
10 IN THE EARLIER STAGE.

11 DR. WAGNER: FOR EXAMPLE, YOU HAVE AN
12 EXTRAORDINARY EXAMPLE OF THE USE OF HUMAN EMBRYONIC
13 STEM CELLS FOR THE TREATMENT OF SPINAL CORD INJURY,
14 AND YOU HAVE ANOTHER ONE FOR BATTEN'S DISEASE WHERE
15 THERE ARE EXAMPLES WHERE THE TRIALS ENDED
16 PREMATURELY. WHAT I DON'T KNOW IS WHETHER OR NOT,
17 COULD THERE HAVE BEEN SOMETHING DONE IN A DIFFERENT
18 WAY THAT WOULD HAVE MINIMIZED THAT RISK? COULD WE
19 HAVE KNOWN SOMETHING IN ADVANCE PERHAPS BY BRINGING
20 IN THESE PATIENT ADVOCACY GROUPS THAT SOMEHOW COULD
21 HAVE BETTER ENSURED A MORE RAPID PROCESS THAT MIGHT
22 BE LESS COSTLY?

23 I BRING THAT UP BECAUSE OF THEIR HIGH
24 PROFILE. AGAIN, THERE'S A GREAT DEAL OF INVESTMENT
25 MADE INTO THESE PROJECTS THAT THEN ABRUPTLY ENDED IN

BARRISTERS' REPORTING SERVICE

1 PART BECAUSE OF ACCRUAL, IN PART BECAUSE OF THE
2 LENGTH OF TIME IT TOOK TO MOVE THEM TO CLINICAL
3 IMPLEMENTATION, AND ALL THAT HAS A MAJOR IMPACT.

4 DR. FEIGAL: THANK YOU.

5 CHAIRMAN LO: I'M LOOKING AT THE AGENDA
6 AND THE TIME HERE. I'D LIKE TO SUGGEST WE SORT OF
7 MOVE ON. THIS IS SORT OF THE FIRST MEETING IN AN
8 ONGOING PROJECT, AND THE HASTINGS CENTER IS LIKELY
9 TO WRITE THIS UP WITH A FORMAL SET OF
10 RECOMMENDATIONS. SO WE'LL LOOK FORWARD TO HEARING
11 MORE UPDATES FOR SUBSEQUENT MEETINGS.

12 I THINK FOR OUR WORKING GROUP THIS IS
13 SOMETHING I THINK WE'LL DEFINITELY KEEP OUR EYES
14 CLOSELY ON. IF IT TURNS OUT TO BE AN ISSUE, IT'S A
15 VERY COMPLICATED AND IMPORTANT ISSUE, IF WE WANT TO
16 DEAL WITH IT FURTHER, WE CAN COME BACK TO IT AT THE
17 END OF THE MEETING AND DECIDE TO WHAT EXTENT WE
18 REALLY WANT TO PURSUE THIS AS AN ISSUE REALLY
19 TARGETED TO CIRM AS OPPOSED TO THIS BROADER
20 INITIATIVE THAT CIRM IS PARTIALLY SUPPORTING AS WELL
21 AS PARTICIPATING IN AT THE HASTINGS CENTER.

22 BUT WE DO HAVE A NUMBER OF POLICY ISSUES
23 WITH REGARD TO RECOMMENDATIONS FOR MODIFYING THE
24 REGULATIONS THAT I'D LIKE TO WORK ON. DO YOU
25 FOLKS -- I WOULD SUGGEST -- IT'S 10:30 NOW. WE'VE

BARRISTERS' REPORTING SERVICE

1 BEEN GOING AT FOR ABOUT AN HOUR AND A HALF. I WOULD
2 VOTE FOR SORT OF PUSHING AHEAD TO SORT OF HEAR FROM
3 SCOTT AND GEOFF ON THE PROPOSED AMENDMENTS.

4 HAVING SAID THAT, I'M ALWAYS ACCUSED OF
5 SORT OF NEGLECTING THE CALLS OF NATURE. SO IF
6 PEOPLE REALLY WANT A BREAK, WE CAN DO THAT NOW. I
7 CAN'T STOP YOU OBVIOUSLY FROM VISITING THE
8 FACILITIES WHICH ARE THATAWAY AND TO THE LEFT.

9 GEOFF, YOU AND SCOTT WANT TO GET US
10 STARTED.

11 DR. LOMAX: I WANTED TO REMIND EVERYONE
12 FOR THIS SEGMENT WE'VE GOT COPIES OF THE MATERIALS.
13 ALL THE MATERIALS ARE COPIED FOR MEMBERS OF THE
14 PUBLIC. AND WE DID SEND AROUND SOME ADVANCE
15 COMMENTS AND COPIES OF THOSE COMMENTS. THEY'RE
16 AVAILABLE AS WELL. SO AS A REMINDER, THAT AS WE
17 MOVE THROUGH THESE ITEMS, THERE ARE COMMENTS
18 ASSOCIATED WITH THEM, AND YOU MAY WANT TO REFER TO
19 THOSE. I WILL TRY TO PARAPHRASE SOME OF THEM IN
20 THIS PRESENTATION, BUT I'M ALWAYS AWARE OF THE
21 PERILS OF PARAPHRASING. SO WE DID INCLUDE THE FULL
22 TEXT OF THE COMMENTS AS WELL TO ENSURE THAT WE'RE
23 NOT SORT OF MISREADING OR MISREPRESENTING THOSE
24 COMMENTS IN ANY WAY.

25 WITH THAT SAID, THERE ARE THREE SECTIONS

BARRISTERS' REPORTING SERVICE

1 OF THE REGULATIONS THAT WE ARE PROPOSING AMENDING.
2 I'D LIKE TO COVER THEM. 160 IS A DISCRETE ITEM, AND
3 THEN THERE'S A SERIES OF PROPOSED AMENDMENTS IN THE
4 170 SECTION AND COVER THAT AS A BLOCK. AND THEN THE
5 180S, I WOULD SUGGEST, REALLY ACTUALLY JUST KIND OF
6 FALLS OUT. IF WE MOVE FORWARD ON 170, THE 180 IS A
7 BIT TECHNICAL. SO THE BULK OF THE DISCUSSION IS IN
8 THIS 170 SECTION, BUT I'LL START ON SECTION 160,
9 WHICH DEALS WITH THE --

10 CHAIRMAN LO: I'D JUST REMIND PEOPLE THAT
11 WE HAVE A COPY OF GEOFF'S SLIDES IN YOUR BRIEFING
12 BOOK. IT LOOKS LIKE THIS.

13 DR. LOMAX: SO THE FIRST PROPOSAL DEALS
14 WITH THE COMPOSITION OF THE OVERSIGHT COMMITTEES.
15 AS YOU MAY BE AWARE, WE GAVE SORT OF A BROAD SORT OF
16 GUIDANCE FOR HOW THESE COMMITTEES WOULD BE
17 CONSTITUTED, AND THIS WAS TO ALLOW INSTITUTIONS TO
18 SORT OF POPULATE THEIR COMMITTEES WITH EXPERTISE
19 APPROPRIATE TO THE TYPES OF RESEARCH THEY WERE
20 DOING. BUT IN ADDITION, WE INCLUDED PROVISIONS
21 WHERE THERE WOULD BE AN OUTSIDE MEMBER AND A PATIENT
22 ADVOCATE.

23 DURING THE DELIBERATIONS, THERE WAS A
24 SENSE THAT THE NONSCIENTIST PUBLIC MEMBER SHOULD NOT
25 RECEIVE ANY TYPE OF COMPENSATION, ANY COMPENSATION

BARRISTERS' REPORTING SERVICE

1 OF ANY KIND FOR PARTICIPATING IN THE COMMITTEE WORK.
2 THE RATIONALE BEING THAT THIS MAY BE SORT OF
3 COERCIVE OR SOMEHOW INFLUENCE THEIR JUDGMENT ON THE
4 COMMITTEE.

5 SINCE THIS AMENDMENT HAS BEEN IN PLAY,
6 WE'VE HEARD FROM A NUMBER OF INSTITUTIONS THAT ONE
7 OF THE DIFFICULTIES THIS DOES CREATE FOR
8 INSTITUTIONS IS THAT INSTITUTIONS HAVE A VARIETY OF
9 COMMITTEES THAT INVOLVE SORT OF OUTSIDE OR IMPARTIAL
10 MEMBERS. AND TYPICALLY INSTITUTIONS, THEIR
11 PREFERENCE IS TO FORM A COMMITTEE AND THEN EVERYONE
12 ON THE COMMITTEE WHO'S NOT AFFILIATED WITH THE
13 INSTITUTION, THEY LIKE TO HAVE A PER DIEM OR SOME
14 SORT OF MODEST SORT OF ACKNOWLEDGEMENT OF THEIR
15 PARTICIPATION, AND THAT THAT'S SORT OF UNIFORM
16 ACROSS IRB'S, ANIMAL REVIEW COMMITTEES, FOR EXAMPLE.
17 AND, AGAIN, THE PREFERENCE FROM AN ADMINISTRATIVE
18 PERSPECTIVE IS WE'D LIKE TO HAVE A UNIFORM POLICY WE
19 CAN APPLY TO EVERYONE. AND THAT THIS POLICY IS A
20 BIT UNUSUAL IN THAT THERE'S SORT OF ONE PERSON WHO
21 GETS EXCEPTIONAL TREATMENT IS THE SORT OF OUTSIDE
22 VOLUNTEER PERSON WHO'S TRYING TO HELP THE STEM CELL
23 PROGRAM.

24 ONE OF THE OTHER THINGS WE'VE BEEN ABLE TO
25 DO AS CIRM IS WE'VE INTERACTED WITH A NUMBER OF

BARRISTERS' REPORTING SERVICE

1 THESE MEMBERS, AND THEY'RE SORT OF VERY ADAMANT
2 ABOUT THEIR ROLE, AND WE'RE ALWAYS RAISING TOUGH
3 ISSUES, AND A \$50 PER DIEM IS NOT GOING TO CHANGE MY
4 APPROACH TO THIS. SO WHAT WE'VE SORT OF SUGGESTED
5 IN THE SPIRIT OF PROVIDING FLEXIBILITY IS TO REALLY
6 JUST STRIKE THE LANGUAGE PROHIBITING REMUNERATION OF
7 THE NONSCIENTIST PUBLIC MEMBER AND JUST, AGAIN,
8 AFFORD INSTITUTIONS THE FLEXIBILITY TO APPLY THEIR
9 POLICIES BROADLY.

10 SO THAT'S THE PROPOSAL BEFORE YOU ALL, AND
11 WE'RE ASKING YOU TO CONSIDER THAT TODAY.

12 CHAIRMAN LO: GEOFF, IF I MAY JUST MAKE A
13 CLARIFYING COMMENT. SO THIS BASICALLY JUST REMOVES
14 THE PROHIBITION, LEAVING IT UP TO THE INSTITUTION TO
15 DECIDE WHETHER OR NOT THEY WANT TO PROVIDE
16 COMPENSATION. SO IT'S NOT REQUIRING THEM TO
17 COMPENSATE PEOPLE. IT'S NOT REQUIRING THEM TO TREAT
18 SCRO MEMBERS LIKE IRB MEMBERS OR ANIMAL. JUST
19 LEAVING THEM THE OPTION TO DO WHAT THEY SEE FIT
20 WITHOUT THE PROHIBITION.

21 DR. LOMAX: CORRECT. IN REGULATORY
22 PARLANCE, WE WOULD REMAIN SILENT ON THE ISSUE. SO
23 IT'S REALLY, AGAIN, DEFERRING TO THE JUDGMENT OF THE
24 INSTITUTION OF HOW THEY WOULD LIKE TO ADMINISTER
25 THESE COMMITTEES.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: COMMENTS, THOUGHTS ON THIS?
2 PAT TAYLOR IS AN OLD NEW MEMBER. HE'S THE
3 EXPERIENCED NEW MEMBER, BUT WASN'T HERE THIS
4 MORNING. SO I JUST WANTED TO RECOGNIZE HIM.

5 DR. PAT TAYLOR: I ACTUALLY GOT LOCKED OUT
6 OF MY ROOM WHICH CAUSED ME TO BE LATE.

7 IT STRIKES ME AS A VERY GOOD AMENDMENT.
8 INSTITUTIONS DO HAVE THEIR OWN PRACTICES AND
9 CULTURES. AND IN THE INTEREST OF NOT PROMOTING
10 SOMETHING THAT'S EXCEPTIONAL JUST FOR SCRO'S, IT
11 SEEMS WISE TO REMOVE THIS PROHIBITION.

12 IN ADDITION, IT'S TRULY HARD TO RECRUIT
13 PEOPLE OFTEN. SO IT SEEMS IMPORTANT TO GIVE
14 INSTITUTIONS THE FLEXIBILITY TO ACTUALLY OPERATE
15 ACCORDING TO THEIR OWN NEEDS. THANKS.

16 CHAIRMAN LO: OTHER COMMENTS? THOUGHTS?

17 DR. PRIETO: I JUST WOULD LIKE TO ALSO
18 STRONGLY SUPPORT THIS. THINKING BACK TO SOME OF THE
19 DISCUSSIONS WE HAD EARLY ON IN THE DAYS OF THE
20 STANDARDS WORKING GROUP ABOUT THIS AND AVOIDING
21 CONFLICTS AND SUCH, BUT HAVING HAD THE EXPERIENCE
22 NOW FOR SEVERAL YEARS WORKING WITH CIRM, SEEING WHAT
23 A BURDEN IT IS FOR PATIENT ADVOCATES WHO OFTEN ARE
24 GIVING UP THEIR DAY JOB ON WHICH MOST OF US DEPEND
25 AND THAT INCOME IN ORDER TO PARTICIPATE IN SOMETHING

BARRISTERS' REPORTING SERVICE

1 WHERE I THINK WE MAKE AN IMPORTANT CONTRIBUTION TO
2 DEVELOPING POLICY AND IMPLEMENTING IT, I THINK THIS
3 HELPS TO MAKE IT POSSIBLE. AND SO I HOPE THAT MOST
4 INSTITUTIONS WILL TAKE THAT IN MIND AND ALLOW THAT
5 REIMBURSEMENT.

6 CHAIRMAN LO: ANY OTHER COMMENTS?

7 DR. LOCKHART: I THINK ALSO IN KEEPING
8 WITH THE IDEA OF NOT TREATING PATIENT ADVOCATES OR
9 PUBLIC MEMBERS AS SECOND CLASS CITIZENS, IT WOULD
10 ALSO SPEAK TO THE ISSUE OF FAIRNESS, THAT THEY NOT
11 BE HELD OUT AS A GROUP WHO IS PARTICULARLY
12 VULNERABLE TO COERCION AND WE'RE NOT ABLE TO RECEIVE
13 A PER DIEM. I THINK IT WOULD REALLY HELP WITH THAT
14 KIND OF FAIRNESS, EVEN PLAYING FIELD THAT SEEMS
15 IMPORTANT.

16 DR. LOMAX: CAN I JUST POINT OUT, BY THE
17 WAY, WE HAD A BOWLING ALLEY BUILT BELOW US AT CIRM,
18 AND WE HAD DRILLING LIKE THIS GOING ON FOR SIX
19 MONTHS. I FEEL LIKE SOMEBODY HAS GOT MY NUMBER. I
20 JUST HAD TO POINT THAT OUT. I'M REALIZING THESE
21 SYNAPSES ARE FIRING THAT ARE PUTTING ME INTO THIS
22 VERY ODD PLACE.

23 DO YOU WANT TO ASK FOR PUBLIC COMMENT IN
24 EACH OF THE SECTIONS? I KNOW WE HAVE A NUMBER OF
25 INSTITUTIONAL MEMBERS HERE.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: ANY CONCERNS AMONG THE SWG?
2 SO FAR WE'VE HEARD SUPPORTIVE COMMENTS WITH REGARD
3 TO THE AMENDMENT. ANY CONCERNS THAT ANY MEMBERS
4 WANT TO RAISE? OKAY. THEN I WANT TO OPEN IT UP TO
5 THE PUBLIC. ARE THERE ANY MEMBERS OF THE PUBLIC WHO
6 WOULD LIKE TO COMMENT ON THIS? AND WOULD YOU COME
7 ALL THE WAY FORWARD, TRY AND LEAN INTO THAT
8 MICROPHONE, AND GIVE YOUR NAME IF YOU WANT TO SPEAK.

9 SEEING NONE, LET'S -- GEOFF, SINCE WE'RE
10 NOT A QUORUM, SHOULD WE VOTE ON A SENSE OF THE
11 COMMITTEE?

12 DR. LOMAX: I DON'T THINK WE NEED A VOTE.
13 I WILL DEFER TO SCOTT. I THINK BASED ON THE
14 RECORD --

15 CHAIRMAN LO: INFORMAL. HOW DO YOU WANT
16 ME TO ACTUALLY DO THAT?

17 MR. TOCHER: YOU CAN GET THE COMMENTS
18 YOU'VE RECEIVED.

19 CHAIRMAN LO: SO THE COMMENTS I'VE
20 RECEIVED ARE ALL SUPPORTIVE OF THIS CHANGE WITH NO
21 COMMENTS EXPRESSING CONCERNS OR OPPOSITION. SO I
22 WOULD SUGGEST THAT THE SENSE OF THIS MEETING, AND A
23 LOT OF NODS GOING AROUND THE TABLE, IS THAT WE WOULD
24 RECOMMEND -- THE SENSE OF THE MEETING IS THAT IT
25 WOULD BE A GOOD IDEA FOR THE ICOC TO ADOPT THIS

BARRISTERS' REPORTING SERVICE

1 PROPOSED CHANGE.

2 WE DON'T HAVE A QUORUM, SO IT WON'T COUNT
3 ANYWAY IF WE HAVE A MOTION AND SECOND.

4 DR. PRIETO: I THINK WE'RE ALL IN
5 AGREEMENT.

6 CHAIRMAN LO: IT SOUNDS LIKE WE'RE IN
7 AGREEMENT.

8 GEOFF, YOU WANT TO MOVE US ON TO 100070
9 AND THE THREE PART.

10 DR. LOMAX: YES. SO I THOUGHT I'D TRY TO
11 ENCAPSULATE THE NEXT SET OF PROPOSED AMENDMENTS
12 BECAUSE IT GETS A BIT DETAILED. SO I THOUGHT I'D
13 MAYBE FIRST BACK IT UP WITH A LITTLE BIT OF A
14 CONCEPTUAL OVERVIEW HERE, WHICH IS, FIRST OF ALL,
15 THE PRIME DIRECTIVE FOR THE OVERSIGHT COMMITTEES
16 WERE REALLY TO ADDRESS GAPS IN THE EXISTING
17 REGULATORY FRAMEWORK. AND THE REAL DIRECTIVE FROM
18 THE NATIONAL ACADEMIES WAS GAMETE AND EMBRYO WORK
19 AND ANIMAL WORK.

20 AS A REMINDER, OUR REGULATIONS CONTINUE TO
21 REQUIRE FULL COMMITTEE REVIEW OF PROTOCOLS THAT
22 INVOLVE ANY TYPE OF EMBRYO, GAMETE, OR PLURIPOTENT
23 TRANSPLANTATION TO ANIMAL WORK. IN ADDITION,
24 THERE'S SOME OTHER CONDITIONS AROUND NEURAL
25 TRANSPLANTATION TO ANIMALS.

BARRISTERS' REPORTING SERVICE

1 SO I THINK WE COVERED THE SCOPE. I DON'T
2 THINK. WE DO COVER THE SCOPE OF RECOMMENDATIONS
3 FROM THE NATIONAL ACADEMIES; BUT IN ADDITION, WE
4 STARTED WITH A FAIRLY CONSERVATIVE POSTURE WHERE WE
5 ALSO HAD ADDITIONAL REVIEW AND/OR NOTIFICATION
6 REQUIREMENTS FOR OTHER PLURIPOTENT STEM CELL WORK
7 AND PARTICULAR REPROGRAMMING AND IPS WORK EARLY IN
8 THE GAME. AND I THINK IT REFLECTS THE FACT THAT
9 WE'VE ALWAYS TAKEN A RELATIVELY CONSERVATIVE
10 POSTURE.

11 WE'RE SO CONSERVATIVE, IN FACT, THAT IN
12 SOME AREAS WE REQUIRE MORE THAN WOULD OTHERWISE BE
13 REQUIRED BY THE NIH WITH REGARD TO IPS WORK. AND,
14 AGAIN, OVER TIME AND SORT OF THE TRACKING AND ALL
15 THE VARIOUS INITIATIVES WE HAVE WHERE WE GO OUT AND
16 BOTH DO ON-SITE EVALUATIONS OF GRANTEES AND REVIEW
17 THEIR PROGRESS, WE'RE NOT SEEING A SET OF SORT OF
18 DIFFICULT OR ETHICAL CONCERNS ARISING OUT OF
19 MAINSTREAM IPS RESEARCH.

20 AND SO I THINK THE SORT OF CONCEPTUAL
21 THOUGHT HERE IS IS IT REALLY TIME TO THINK ABOUT
22 HAVING -- IF YOU'VE GOT A CIRM GRANT OR AN NIH GRANT
23 INVOLVING IPS RESEARCH, THE REQUIREMENTS ARE
24 BASICALLY THE SAME. THAT'S KIND OF CONCEPTUALLY
25 WHERE WE ARE PROPOSING TO MOVE THE REGULATIONS.

BARRISTERS' REPORTING SERVICE

1 I THINK THE OTHER PART THAT I'D REALLY
2 LIKE TO EMPHASIZE FROM MY ROLE AS THE INDIVIDUAL WHO
3 DOES ACTUALLY CHASE PEOPLE DOWN AND ASK A LOT OF
4 QUESTIONS ABOUT THEIR REVIEW AND THEIR OVERSIGHT
5 PROGRAM, INCLUDING, AGAIN, GOING ON SITE AND REALLY
6 LOOKING AT WHAT'S GOING ON ON THE GROUND, IS THAT
7 WHEN WE'RE SORT OF GOING OUT AND DEMANDING THAT
8 PEOPLE KEEP RECORDS AND HAVE MEETINGS, WE REALLY
9 WANT -- AND REVIEW THINGS, THAT WE REALLY WANT TO
10 MAKE SURE THAT THEY'RE FOCUSING ON THE IMPORTANT
11 STUFF AND THAT WE ALWAYS SPEND A LOT OF TIME MAKING
12 SURE THAT THOSE BASES ARE COVERED.

13 SO I HAD A RECENT SITE VISIT, FOR EXAMPLE,
14 AND WE WERE VERY MUCH DIGGING INTO MAKING SURE THAT
15 ALL THE ANIMAL WORK WAS CAREFULLY DOCUMENTED, THE
16 REVIEWS WERE THERE, THE APPROVALS WERE DOCUMENTED,
17 MINUTES FROM THE MEETING, ETC., ETC. NOW, THAT'S
18 VERY TIME-CONSUMING. AND WHAT WE WANT TO DO IS
19 REALLY RESERVE OUR ADMINISTRATIVE CAPACITY TOWARDS
20 MAKING SURE THOSE THINGS ARE THERE, BUT WE HAVE
21 RECENTLY BEEN SPENDING A LOT OF TIME TRACKING DOWN
22 BASICALLY WHAT WE CALL NOTIFICATION REQUIREMENTS,
23 WHICH IS IS THE FILE COMPLETE, THAT SOMEONE TOLD
24 SOMEBODY THEY WERE GOING TO DO SOME REPROGRAMMING
25 WORK WITH A SOMATIC STEM CELL LINE. AND THAT TYPE

BARRISTERS' REPORTING SERVICE

1 OF ACTIVITY, I THINK, CONSUMES BANDWIDTH, AND IT'S
2 BANDWIDTH THAT'S BETTER UTILIZED FOCUSING ON, AGAIN,
3 THE GAPS, IF YOU WILL.

4 SO PART OF WHY WE'RE PROPOSING THIS IS TO
5 OPTIMIZE, I THINK, OUR ADMINISTRATIVE PROGRAM SO
6 THAT WE'RE REALLY LOOKING WHERE WE NEED TO BE
7 LOOKING TO BE DOING OUR JOB. SO THAT'S THE SORT OF
8 ADMINISTRATIVE CAPACITY POINT.

9 WITH THAT SORT OF KIND OF FRAMING, I'LL
10 GET INTO THIS TABLE WHICH REALLY TRIES TO SORT OF
11 COMPARE AND CONTRAST WHERE WE ARE AND WHERE WE WOULD
12 PROPOSE WE GO TO.

13 SO THE FIRST LINE IS, AGAIN, JUST A
14 REMINDER THAT SOMETHING LIKE A DERIVATION OF A HUMAN
15 EMBRYONIC STEM CELL LINE, WE WOULD CONTINUE TO
16 REQUIRE FULL REVIEW OF THAT TYPE OF WORK.

17 THE OTHER AREA, AGAIN, WHERE WE THINK IT'S
18 IMPORTANT TO HAVE SOME TYPE OF NOTIFICATION, BUT
19 WHAT WE'RE PROPOSING IS A BIT MORE FLEXIBILITY IS
20 THE AREA OF NEW IPSC DERIVATION WITH IDENTIFIABLE
21 CELLS OR HUMAN SUBJECTS RESEARCH WHERE YOU HAVE IPSC
22 DERIVATION. AND, AGAIN, THIS WOULD STILL BE A BIT
23 MORE THAN THE NIH REQUIRES, BUT WE THINK IT'S
24 IMPORTANT. I'LL EXPLAIN WHY.

25 SO THE STANDARD WE CURRENTLY HAVE IS THE

BARRISTERS' REPORTING SERVICE

1 NOTIFICATION OF THE SCRO COMMITTEE. ONE OF THE
2 PROBLEMS WE DO HAVE IS WE ARE, AS OUR GRANTEE
3 PORTFOLIO EXPANDS, THERE ARE INSTITUTIONS THAT DON'T
4 HAVE EASY ACCESS TO A SCRO COMMITTEE, AND THAT COULD
5 BE A ROADBLOCK. SO WHAT WE'VE DONE OVER THE YEARS
6 IS WE'VE INCORPORATED A SECOND PATHWAY FOR SOME OF
7 THESE REGULATORY CHECKPOINTS. AND THAT IS, THEY CAN
8 DESIGNATE A RESPONSIBLE INSTITUTION OFFICIAL. THAT
9 WOULD BE SOMEONE WHOSE -- IT HAS TO BE SOMEONE WHO
10 HAS DECISION AUTHORITY. TYPICALLY IT'S IN THE
11 OFFICE OF GENERAL COUNSEL. BUT A HIGH LEVEL
12 OFFICIAL WHO CAN CERTIFY BACK TO US THAT SOMETHING
13 HAS HAPPENED.

14 AND, AGAIN, THAT'S SOMETHING THAT WE'RE
15 USING EMBRYONIC STEM CELL LINES THAT ARE REGISTERED.
16 IT'S A VERY CLEAR-CUT DETERMINATION AS OPPOSED TO
17 WHERE YOU NEED A COMMITTEE LIKE YOU'RE DOING A VERY
18 INTRIGUING ANIMAL STUDY. YOU STILL NEED THE
19 OVERSIGHT COMMITTEE FOR THAT BECAUSE THAT'S MORE OF
20 A DELIBERATIVE SITUATION WHERE YOU NEED TO THINK
21 ABOUT WHAT YOU ARE DOING AS OPPOSED TO, NO, THE STEM
22 CELL LINE OF CHOICE MEETS THE REGULATORY REQUIREMENT
23 AND I'M CERTIFYING THAT. SO WE'RE ALLOWING THESE
24 CERTIFICATIONS AT CERTAIN POINTS.

25 WHAT WE'RE PROPOSING IS THAT IF YOU'RE

BARRISTERS' REPORTING SERVICE

1 DOING NEW IPSC DERIVATION WITH IDENTIFIABLE CELLS,
2 WE INCLUDE THE INSTITUTIONAL OFFICIAL OPTION. AND
3 THE POINT THERE IS -- THE POINT OF THE REQUIREMENT
4 IS TO SAY WHEN YOU'RE DERIVING IPS LINES, ONE OF THE
5 THINGS THAT WE CARE ABOUT DEEPLY IS THE QUALITY OF
6 CONSENT. AND WE'D LIKE TO HAVE SOMEBODY, EITHER A
7 COMMITTEE OR AN INDIVIDUAL, WHO'S INTIMATE WITH THE
8 CIRM REQUIREMENTS AND CAN REALLY THINK IN THE
9 CONTEXT OF THIS PROTOCOL, GIVEN THEY'RE IDENTIFIABLE
10 AND WE MAY HAVE ACCESS TO THE PRIMARY DONOR, IS
11 THERE ANYTHING ELSE WE MIGHT WANT TO THINK ABOUT IN
12 TERMS OF THE CONSENT TO MAKE SURE THAT THE ULTIMATE
13 PRODUCT, THE IPS LINE, WILL BE OPTIMALLY USEFUL OR
14 COMPLIANT WITH CIRM STANDARDS.

15 AGAIN, IF IT'S HUMAN SUBJECTS RESEARCH, IT
16 STILL HAS TO GO TO AN IRB FOR APPROVAL. SO WHAT
17 THAT INDIVIDUAL OR THE COMMITTEE WOULD BE ABLE TO DO
18 IS SORT OF INTERACT WITH THE IRB TO SORT OF THINK
19 ABOUT ARE THERE OPPORTUNITIES HERE TO ENHANCE
20 CONSENT IF SOMEONE HAS DETERMINED THAT'S A GOOD
21 THING TO DO?

22 SO, AGAIN, THE NATURE OF THE CHANGE IS TO
23 REALLY EXPAND THE EXISTING STANDARD TO ALLOW GREATER
24 FLEXIBILITY WITHIN THE ORGANIZATION SO THAT IN EVERY
25 CASE YOU WOULDN'T NECESSARILY NEED A SCRO TO DO IPS

BARRISTERS' REPORTING SERVICE

1 WORK WITH IDENTIFIABLE CELLS.

2 THE OTHER THING I'D POINT OUT IS THIS IS A
3 PRETTY LIMITED EXAMPLE WHEN YOU'D ENCOUNTER THIS
4 SITUATION. IN FACT, YOU'RE PROBABLY GOING TO
5 ENCOUNTER IT IN CLINICAL PROTOCOLS WHERE YOU'RE
6 PROPOSING TO ACTUALLY USE AUTOLOGOUS CELLS AND
7 TRANSPLANT THEM INTO AN INDIVIDUAL. SO IN ALL
8 LIKELIHOOD, IN REALITY YOU'RE STILL GOING TO BE IN A
9 SITUATION WHERE YOU'RE GOING TO NEED A SCRO
10 COMMITTEE FOR THIS PARTICULAR CIRCUMSTANCE. BUT IN
11 THE LIMITED CASE WHERE YOU'RE DOING WORK WITH
12 IDENTIFIABLE CELLS, BUT YOU'RE NOT DOING ANY HUMAN
13 TRANSPLANTATION WORK, AGAIN, IT PROVIDES MORE
14 OPTIONS.

15 THAT'S SORT OF ITEM 1, AND THAT'S SORT OF,
16 AGAIN, THE PROPOSAL THERE. SO I'LL PAUSE THERE.
17 ARE THERE ANY QUESTIONS OR THOUGHTS AT THAT STAGE?

18 CHAIRMAN LO: GEOFF, ARE YOU GIVING US
19 SORT OF THE OVERVIEW OF ALL THE PROPOSED CHANGES,
20 AND THEN YOU'RE GOING TO DEAL WITH THEM ONE BY ONE
21 IN MORE DETAIL? DO YOU WANT US TO FINISH THIS
22 OVERVIEW?

23 DR. LOMAX: LET ME DO THAT. THAT'S FINE.
24 THE TABLE IS SO MUCH EASIER TO OPERATE ON, BUT WHY
25 DON'T I DO THAT, THEN I CAN GO TO THE SLIDE AND WE

BARRISTERS' REPORTING SERVICE

1 CAN COME BACK TO THE TABLE IF WE WANT.

2 OKAY. SO THAT'S, AGAIN -- AND THE OTHER
3 THING TO KEEP IN MIND HERE IS THAT THIS IS FOR NEW
4 DERIVATION. AND, AGAIN, WE THINK IT'S IMPORTANT FOR
5 THE ACTUAL DERIVATION OF LINES, AGAIN, TO BE PAYING
6 ATTENTION TO CONSENT AND ALL THE DETAILS.

7 SO THE MAJOR THRUST OF THE NEXT
8 REQUIREMENTS DEAL WITH ACTUALLY THE USE OF DERIVED
9 IPSC'S. SO NOW YOU'RE NOT ACTUALLY CREATING THEM,
10 BUT YOU'RE GETTING THEM FROM SOMEPLACE ELSE AND
11 YOU'RE USING THEM IN RESEARCH. AGAIN, IN THE
12 PREVIOUS -- THE CURRENT STANDARDS, IF YOU'RE USING
13 IDENTIFIABLE IPSC, YOU WOULD NOTIFY THE SCRO, AN
14 INSTITUTIONAL OFFICIAL. AND IN THIS CASE WE
15 CURRENTLY REQUIRE THE NOTIFICATION, BUT WE'RE
16 PROPOSING THAT THERE BE EITHER NO NOTIFICATION OF A
17 SCRO REQUIRED OR, AGAIN, INCLUDE -- SORRY. LET ME
18 JUST PAUSE AND LOOK AT THIS FOR A MOMENT.

19 LET ME MOVE ON TO THE NEXT ONE BECAUSE I
20 BELIEVE I ACTUALLY HAVE TO LOOK AT THE STANDARD.
21 IT'S MISLEADING HERE. I THINK THE CURRENT STANDARD
22 REQUIRES NOTIFICATION OF A SCRO. WHAT WE'RE SAYING
23 IS NOTIFICATION OF A SCRO OR AN INSTITUTIONAL
24 OFFICIAL. IT SAYS NOTIFICATION OF THE SCRO AS AN
25 OPTION. LET ME GO BACK AND LOOK AT THAT. LET ME

BARRISTERS' REPORTING SERVICE

1 JUST MOVE TO THE ACTUAL SLIDE ITSELF.

2 GIVE ME A MOMENT TO GET MY BRAIN SET HERE.

3 SO THIS IS REALLY THE GIST OF THE RECOMMENDATION,
4 THAT THERE WOULD BE -- SO I'VE DISTINGUISHED BETWEEN
5 DERIVATION AND USE. AND WHAT WE'RE SAYING IS THERE
6 WOULD BE NO NOTIFICATION REQUIREMENT FOR THE USE OF
7 INDUCED PLURIPOTENT CELLS EITHER TO THE SCRO
8 COMMITTEE OR AN INSTITUTIONAL OFFICIAL. AND, AGAIN,
9 THE IDEA HERE IS AT CIRM, IF WE INDICATE TO THE
10 GRANTEE THEY MUST MAKE A NOTIFICATION, THAT
11 NECESSITATES SORT OF AN EXCHANGE BETWEEN CIRM AND
12 THE GRANTEE DOCUMENTING THAT NOTIFICATION. AND
13 THAT'S ACTUALLY A LOT OF WORK NOW THAT IPSC'S ARE
14 REALLY THE MAINSTAY OF A LOT OF THE GRANTS WE'RE
15 FUNDING.

16 SO WE'RE PROPOSING ELIMINATING THE
17 NOTIFICATION REQUIREMENT, BUT TO KEEP IN MIND THAT
18 CIRM STILL HAS REQUIREMENTS THAT THE MATERIALS YOU
19 USE IN RESEARCH, THERE'S A GENERAL REQUIREMENT THAT
20 THE MATERIALS THAT MEET CERTAIN STANDARDS, EITHER
21 THE GRANTEE CAN DOCUMENT THAT THEY HAVE BEEN
22 OBTAINED WITH PROPER CONSENT, THERE'S NOT BEEN
23 PAYMENTS FOR CELLS, OR IN THE CASE OF ANONYMIZED
24 CELLS, IF THEY'RE DEIDENTIFIED, WHICH WE'LL GET TO.
25 SO THERE'S STILL REQUIREMENTS THAT THE MATERIALS

BARRISTERS' REPORTING SERVICE

1 HAVE BEEN OBTAINED WITH CONSENT AND MEET SORT OF
2 BASIC STANDARDS FOR GOOD PROCUREMENT OF MATERIALS.
3 BUT WHAT WE'RE TRYING TO GET OUT OF THE PROCESS ARE
4 ALL THE LITTLE NOTES GOING BACKWARDS AND FORWARDS
5 ABOUT WHO WAS NOTIFIED WHEN AND THAT TYPE OF
6 INTERACTION. SO THAT'S THE PROPOSAL HERE.

7 AND, AGAIN, THE COMMENTS WERE MOST
8 NUMEROUS IN TERMS OF THIS AREA. AGAIN, OUR GRANTEES
9 MADE A NUMBER OF COMMENTS. LET ME JUST GO BACK AND
10 LOOK AT THESE COMMENTS.

11 AGAIN, POINTING OUT THAT WE'VE GOT THE
12 IRB. THE IRB'S ARE ALWAYS GOING TO BE SORT OF A
13 CHECKPOINT WHEN WE'RE USING IDENTIFIABLE MATERIALS.
14 AND THIS WAS A POINT I WAS TRYING TO MAKE EARLIER.
15 AGAIN, IN MOST CASES WE DON'T HAVE A LOT OF WORK
16 INVOLVING IDENTIFIABLE CELLS. SO IT'S NOT -- IT
17 WOULD BE IN A SORT OF CLINICAL CONTEXT WHERE YOU
18 WOULD HAVE ALL THE REVIEWS ANYWAY.

19 AGAIN, IS THAT CLEAR? I DON'T KNOW IF I
20 SORT OF EXPLAINED THAT AS CLEARLY AS I COULD HAVE.

21 CHAIRMAN LO: LET ME TRY AND CLARIFY AND
22 THEN ASK A QUESTION. SO ORIGINALLY WHEN IPSC
23 DERIVATION USE WAS FIRST BEING PROPOSED, WE TOOK A
24 CONSERVATIVE ROUTE AND SAID WE WILL ERR ON THE SIDE
25 OF MAKING SURE THAT THERE ARE NO ISSUES IN

BARRISTERS' REPORTING SERVICE

1 DERIVATION OR USE. AS WE GAIN MORE EXPERIENCE, THE
2 QUESTION IS DO WE NEED SCRO INVOLVEMENT AS MUCH AS
3 WE HAVE HAD SINCE THE BEGINNING. SINCE THERE IS AN
4 IRB THAT IS GOING TO LOOK AT ALL WORK WITH
5 IDENTIFIABLE TISSUES, IS THERE SOMETHING SPECIFIC
6 ABOUT AN IPS CELL THAT WOULD MAKE US WANT TO SINGLE
7 THAT OUT FOR ADDITIONAL REVIEW BY A SPECIALLY
8 CONSTITUTED COMMITTEE?

9 AND SO I THINK THAT'S THE BACKGROUND.
10 WE'RE NOT SORT OF SAYING ANYTHING GOES. WE STILL
11 HAVE THINGS IN PLACE IN TERMS OF IRB REVIEW AS WELL
12 AS ADDITIONAL REQUIREMENTS, THAT LAST BULLET AT THE
13 BOTTOM, FOR USE THAT REQUIRE TRANSPLANTATION INTO
14 NONHUMAN ANIMALS WHICH WOULD NOT NECESSARILY BE
15 COVERED BY THE IRB.

16 GEOFF, LET ME ASK YOU A QUESTION. SO THE
17 SCIENCE, AGAIN, HAS PROGRESSED, AND NOW PEOPLE ARE
18 TAKING SOMATIC CELLS AND DIRECTLY REPROGRAMMING THEM
19 WITHOUT GOING THROUGH A PLURIPOTENT IPS STAGE. AND
20 ONE OF THE CONCERNS WE HAD RAISED WAS CERTAIN USES
21 OF DERIVATIVES OF PLURIPOTENT CELLS, INCLUDING
22 TRANSPLANTATION OF NEURAL PRECURSOR CELLS, INTO THE
23 BRAINS OF THE NONHUMAN ANIMALS AND ALSO THE
24 DERIVATION OF GAMETES FROM PLURIPOTENT CELLS, WE
25 SINGLED THOSE TWO OUT AS NEEDING SPECIAL

BARRISTERS' REPORTING SERVICE

1 CONSIDERATION.

2 NOW THAT WE'RE ABLE TO DIRECTLY REPROGRAM
3 SOMATIC CELL NEURAL PRECURSOR CELLS, THE POSSIBILITY
4 IS THERE THAT THIS MAY OCCUR FOR GAMETE PRECURSOR
5 CELLS AS A GRANT TO FUND THIS, BUT ALSO PERHAPS
6 GAMETES. HOW DOES THAT DIRECT USE OF DIRECT
7 REPROGRAMMING OF IDENTIFIABLE SOMATIC CELLS FOR
8 THOSE PURPOSES, HOW DOES THAT FIT IN WITH THESE NEW
9 RECOMMENDATIONS TO REVISE THE REGULATIONS?

10 DR. LOMAX: WELL, AGAIN, NONE OF THAT HAS
11 CHANGED WHEN I REFERRED TO SORT OF THE GAPS, IF YOU
12 WILL, IN THE IOM. SO WHAT IT IS IS WHEN THE
13 PROTOCOL PROPOSES TO MOVE INTO CERTAIN SENSITIVE
14 USES, THAT NECESSITATES A FULL SCRO REVIEW. IT
15 REQUIRES SOME SORT OF DELIBERATIVE PROCESS. AND
16 THAT WOULD INCLUDE THE NEURAL WORK WITH ANIMALS. SO
17 EVEN IF THE CELL IS NOT PLURIPOTENT, NEUROLOGICAL
18 PRECURSORS GOING INTO THE BRAINS OF ANIMALS, ANY
19 GAMETE WORK, AND WE'VE FRAMED IT TO CAPTURE ALL THE
20 VARIOUS CONTINGENCIES, SO IT'S RESEARCH INVOLVING
21 THE CREATION OR USE OF HUMAN GAMETES. SO IT
22 CAPTURES -- IT WOULD CAPTURE THE REPROGRAMMING
23 EXAMPLE. SO ALL THAT WORK, NONE OF THAT WORK IS
24 CHANGING.

25 YOU MIGHT VIEW THIS IN TERMS OF JUST

BARRISTERS' REPORTING SERVICE

1 DEVELOPING THE FEEDSTOCKS, THE PLURIPOTENT
2 FEEDSTOCKS FROM SOMATIC CELLS OR SORT OF USING THOSE
3 IN USES OTHER THAN THE ONES YOU DESCRIBE, THAT WE'RE
4 TRYING TO SORT OF, AGAIN, REDUCE THE ADMINISTRATIVE
5 FLOWS AROUND THOSE TYPES OF EXPERIMENTS, BUT NOT
6 CHANGING THE ONES THAT YOU ALL INDICATED HAD TO BE
7 REVIEWED.

8 DR. ROBERTS: SO IS IT CLEAR, THEN, IN THE
9 WAY THAT THE STANDARDS ARE STRUCTURED THAT THIS
10 PROVISION WOULD NOT APPLY TO THE TRANSPLANTATION AND
11 GAMETE USES THAT BERNIE JUST MENTIONED?

12 DR. LOMAX: CORRECT. SO ACTUALLY IN THE
13 YELLOW DOCUMENT, YOU WILL SEE IN SECTION 170, A AND
14 B COVER EMBRYO WORK. SO THEY'RE COVERED IN
15 COMPLETELY SEPARATE SECTIONS AS CLEAR CATEGORIES OF
16 WORK THAT DEMAND FULL REVIEW. WE'RE NOW IN SECTION
17 C. THEY SORT OF MOVE IN A SOMEWHAT STEPWISE MANNER
18 DOWN THAT SCALE, WITH C BEING MORE THE DEVELOPMENT
19 OF INDUCED PLURIPOTENT LINES.

20 DR. ROBERTS: AND THERE'S NO NEED IN C TO
21 BE CLEAR THAT THIS DOES NOT APPLY TO THE USES IN THE
22 PRIOR SECTIONS?

23 DR. LOMAX: NO. OUR GRANTEES ARE WELL
24 AWARE OF THAT.

25 DR. ROBERTS: I GUESS I WOULD FEEL MORE

BARRISTERS' REPORTING SERVICE

1 COMFORTABLE ABOUT THIS IF YOU CAN EXPLAIN HOW WE
2 KNOW THAT THE ONLY DIFFERENCE WILL BE SORT OF THIS
3 ADMINISTRATIVE NOTIFICATION. THE ONLY DIFFERENCE IN
4 NOT REQUIRING NOTIFICATION AND THE SPECIAL SCRO
5 REVIEW WILL BE JUST THE ELIMINATION OF THESE SORT OF
6 ADMINISTRATIVE HASSLES THAT HAVE TAKEN TOO MUCH TIME
7 WITHOUT ANY REAL ETHICAL SUBSTANCE TO THEM.

8 DR. LOMAX: SURE. AGAIN, WE DO A NUMBER
9 OF THINGS WITHIN THE GROUP. SO THE TYPICAL EXAMPLE,
10 A PROPOSAL COMES IN, IT'S REVIEWED BY A SCIENCE
11 OFFICER AS A FIRST SCAN TO MAKE SURE, AGAIN, THERE'S
12 NOTHING IN THERE THAT WOULD NECESSITATE SOME KIND OF
13 DOCUMENTATION, AND THAT'S OUR PREGRANT AWARD REVIEW.
14 IN ADDITION, WE CONTINUE AT A SAMPLE LEVEL TO GO
15 THROUGH AND WE'RE LOOKING AT AN
16 INSTITUTION-BY-INSTITUTION BASIS OF THE PORTFOLIO.
17 THAT'S WHAT I DO IN TERMS OF THE COMPLIANCE PROGRAM,
18 WHICH I'VE DESCRIBED PREVIOUSLY.

19 SO WHAT WE DO HAVE IS A SERIES OF
20 INDIVIDUALS CHECKING PROTOCOLS AND EVALUATING THEM
21 AGAINST THESE REQUIREMENTS BOTH IN-HOUSE AND GOING
22 BACK TO THEIR INSTITUTIONS. SO I DON'T KNOW IF THAT
23 KIND OF GIVES YOU THE LEVEL OF COMFORT.

24 DR. ROBERTS: I GUESS WHAT I MEAN IS THAT
25 I THINK THE ARGUMENT IS THAT THIS SHOULD BE CHANGED

BARRISTERS' REPORTING SERVICE

1 BECAUSE THERE'S ENOUGH ETHICAL REVIEW DONE BY THE
2 IRB ANYWAY AND BY THE OTHER STANDARDS FOR ACCEPTABLE
3 RESEARCH MATERIALS. IN OTHER WORDS, I GUESS I'M
4 JUST WONDERING IF THERE'S SOMETHING THAT'S NOT --
5 SOME TYPE OF REVIEW THAT'S NOT ACHIEVED BY THE IRB
6 REVIEW AND THE OTHER STANDARDS THAT WOULD BE
7 ACHIEVED IF THERE WAS NOTIFICATION. DO YOU SEE WHAT
8 I'M SAYING? TO BE ENSURED THAT WE'RE NOW NOT GOING
9 TO MISS SOMETHING BECAUSE OF DOING AWAY WITH THE
10 NOTIFICATION REQUIREMENT.

11 DR. LOMAX: I'LL MAKE A QUICK COMMENT, AND
12 THEN IT LOOKS LIKE THE COMMITTEE MEMBERS. ONE OF
13 THE SITUATIONS THAT EXISTS NOW IS A LOT OF
14 INSTITUTIONS HAVE MOVED TO A COMBINED IRB-SCRO
15 MODEL, BUT THAT'S NOT A HUNDRED PERCENT. SO ONE OF
16 THE REALITIES WE'RE DOING WITH THIS, IN A LOT OF
17 CASES, IF IT COMES TO THE IRB, THERE'S SORT OF THE
18 STEM CELL PERSPECTIVE IN THAT DISCUSSION
19 AUTOMATICALLY, BUT THAT'S NOT A HUNDRED PERCENT.

20 AGAIN, THE BIGGER DILEMMA WE FACE IS WHEN
21 THERE'S NOT THE SCRO CAPACITY. THERE'S AN
22 ESTABLISHED IRB, AND WHAT DOES THE INSTITUTION DO?
23 TYPICALLY WHAT THEY'LL DO IS -- I CAN'T SAY IT
24 HAPPENS IN ALL THE CASES, BUT THEY'LL CONTACT ME.
25 WE UNDERSTAND THERE'S THIS REQUIREMENT. SO, AGAIN,

BARRISTERS' REPORTING SERVICE

1 THERE'S THIS -- FROM THE SORT OF VANTAGE POINT THAT
2 I HAVE, A LOT OF THAT GETS COVERED BY ESTABLISHED
3 INSTITUTIONS. SOME OF THE NEWER FOLKS ARE ENGAGED
4 AND MAKING SURE THAT THOSE THINGS ARE COVERED, BUT I
5 CAN'T SAY WITH ABSOLUTE HUNDRED PERCENT CERTAINTY IN
6 EVERY CASE WE GET THAT. THAT'S, I THINK, THE
7 CHALLENGE WE HAVE IN REGULATIONS IS HOW MUCH
8 ASSURANCE DO WE NEED AND HOW DO WE DO THAT.

9 DR. ROBERTS: I'D LOVE TO HEAR MORE
10 DISCUSSION ABOUT THAT AND PEOPLE'S VIEWS ON THAT.

11 CHAIRMAN LO: I WANT TO GET PAT TAYLOR ON
12 THIS. I DO WANT TO SAY THAT AS A NONLAWYER TRYING
13 TO READ THROUGH REGULATIONS, I ALWAYS HAVE TO GO
14 BACK AND LOOK UP COVERED STEM CELL LINES. SO 100070
15 (C) AND (D) REALLY HAVE TO DO WITH THE CREATION OR
16 USE OF A COVERED STEM CELL LINE. AND A COVERED STEM
17 CELL LINE, BY OUR DEFINITION, IS A PLURIPOTENT STEM
18 CELL LINE.

19 SO MY CONCERN IS THAT IF SOMEONE SAYS I'M
20 NOT GOING TO CREATE A PLURIPOTENT STEM CELL LINE,
21 I'M JUST GOING TO TAKE A SOMATIC CELL AND TURN IT
22 INTO A GAMETE PRECURSOR OR TRY AND TURN IT INTO A
23 GAMETE, AGAIN, THIS IS MY NAIVE READING.

24 DR. ROBERTS: I'M A LAWYER. I SHOULD BE
25 ABLE TO READ IT.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: IT SEEMS LIKE IT DOESN'T --
2 THERE'S NOTHING IN THIS 100070 SECTION THAT APPLIES
3 TO THAT DIRECT REPROGRAMMING TO GAMETE PRECURSORS OR
4 GAMETES; WHEREAS, I THINK OUR INTUITION WOULD BE
5 WE'D WANT SOME OVERSIGHT. NOW, IF IT'S
6 IDENTIFIABLE, IT GOES BEFORE AN IRB. BUT IF IT'S
7 NOT IDENTIFIABLE, WHICH IS YOUR NEXT SECTION, THE D
8 SECTION, THAT'S NOT HUMAN SUBJECTS RESEARCH, SO IT'S
9 OUTSIDE THE PURVIEW OF THE IRB.

10 SO I JUST WANTED TO SET THAT UP FOR YOU,
11 DOROTHY, AND FOR PAT IN PARTICULAR BECAUSE I KNOW,
12 PAT, YOU DO THIS DAY IN AND DAY OUT.

13 DR. PAT TAYLOR: I THINK THIS DOES REALLY
14 SET IT OUT VERY NICELY. THERE ARE ALWAYS TWO BASES
15 FOR SCRO REVIEW. ONE, OF COURSE, WAS THE PROVENANCE
16 OF EMBRYONIC STEM CELLS. AND THE OTHER WAS PECULIAR
17 USES, AS YOU POINT OUT, BERNIE, HAVE EVOLVED OVER
18 TIME. SO IF I UNDERSTAND THIS CORRECTLY, THE
19 THOUGHT IS THAT, LOOK, IN THE CASE OF IPS CELLS,
20 WHICH IS THE SAME DERIVATION ISSUES, WE'RE OBVIOUSLY
21 NOT GOING TO REQUIRE A SCRO REVIEW. BUT THE GENERAL
22 PRINCIPLE SEEMS TO BE THAT USES THAT WOULD OTHERWISE
23 REQUIRE SPECIALIZED REVIEW OUGHT PERHAPS TO CONFORM,
24 BUT I THINK THAT'S KIND OF A MOVING TARGET.

25 SO INSTITUTIONS ARE OF MANY MINDS ON

BARRISTERS' REPORTING SERVICE

1 WHETHER IT'S, FOR EXAMPLE, DEIDENTIFIED CELLS OUGHT
2 TO BE -- USES TO CREATE ORGANS, FOR EXAMPLE, OUGHT
3 TO BE SUBMITTED TO SPECIALIZED REVIEW. I THINK
4 YOU'RE RIGHT, BERNIE, THOUGH, THAT THE PARTICULAR
5 SECTIONS ARE SOMEWHAT DATED. PLURIPOTENCY IS
6 OBVIOUSLY A BIG PROBLEM WITH GAMETES, EMBRYOID
7 BODIES. THERE'S A MOVING TARGET.

8 A SIMPLE STANDARD MIGHT JUST BE TO SAY
9 THAT THE CIRCUMSTANCES WHERE THERE'S SCRO REVIEW FOR
10 EMBRYONIC STEM CELL USES OUGHT TO MATCH IPS USES AS
11 THEY EVOLVE.

12 DR. LOMAX: THAT WAS A LITTLE BIT OF OUR
13 STARTING POINT WHEN WE STARTED WITH DERIVATION, AND
14 WE'RE NOW TRYING TO SORT OF MOVE THAT TO THE
15 DOWNSTREAM USES.

16 DENISE, CAN I JUST CALL YOU OUT HERE? I
17 WOULD LIKE TO ADDRESS BERNIE'S POINT HERE. I KNOW
18 WE'VE DONE A LOT OF OUTREACH. I THINK IT'S
19 EXCEPTIONALLY CLEAR TO THOSE IN THE SORT OF
20 OVERSIGHT WORLD THAT ANY APPLICATION OF MATERIALS --
21 ANY PROTOCOL INTENDING TO DERIVE OR OTHERWISE
22 DEVELOP GAMETES WOULD BE SUBJECT TO SCRO REVIEW.
23 I'M JUST CURIOUS --

24 CHAIRMAN LO: BEFORE YOU GO THERE, GEOFF.
25 PAT, I THINK YOU'RE RIGHT. IT'S A MOVING TARGET AND

BARRISTERS' REPORTING SERVICE

1 WE NEED TO KEEP UP WITH THE CHANGING SCIENCE. OUR
2 PROBLEM IS THAT AS A REGULATORY BODY WE HAVE TO GIVE
3 PEOPLE CLEAR NOTICE OF WHAT'S ON THE RIGHT SIDE OF
4 THE BRIGHT LINE AND WHAT'S ON THE OTHER SIDE.

5 DR. PAT TAYLOR: YOU'RE ABSOLUTELY RIGHT,
6 BERNIE.

7 CHAIRMAN LO: I DON'T KNOW IF WE ACTUALLY
8 HAVE TO PROVIDE THE SPECIFIC THESE ARE THE TYPES OF
9 THINGS THAT YOU NEED SOME SORT OF SPECIAL REVIEW AND
10 YOU CAN BE FLEXIBLE.

11 I GUESS MY OTHER QUESTION IS THAT I WOULD
12 AGAIN DEFER TO THOSE OF YOU WHO ARE MUCH MORE
13 EXPERIENCED WITH REGULATORY ISSUES. BUT IT STRIKES
14 ME WE HAVE TO MAKE SURE THAT THE CLEAR LANGUAGE OF
15 OUR REGULATIONS IS WHAT WE WANT IT TO BE. AND TO
16 SAY THAT WHILE PEOPLE UNDERSTAND IT TO INCLUDE THIS
17 AND THAT IS, I THINK, NOT QUITE THE SAME AS MAKING
18 SURE THAT THE LANGUAGE IN THE REGULATIONS ITSELF
19 MAKES IT CLEAR THAT ON CERTAIN THINGS WE GIVE
20 FLEXIBILITY, ON CERTAIN THINGS WE WANT SPECIAL
21 REVIEW. WE MAY CHOOSE TO SAY YOU CAN DECIDE WHAT
22 KIND OF REVIEW, MAYBE DECIDE YOUR IRB IS FINE.

23 SO, AGAIN, THESE ARE ISSUES WHERE I REALLY
24 WANT TO DEFER TO THE EXPERTISE OF THOSE OF YOU WHO
25 REALLY THOUGHT LONG AND HARD ABOUT THESE ISSUES. I

BARRISTERS' REPORTING SERVICE

1 JUST WANTED TO SET THAT UP BEFORE BECAUSE IT'S A
2 DIFFERENT QUESTION THAN WHAT WE'RE ASKING DENISE AS
3 SOMEONE WHO'S REALLY, AND I'LL VOUCH FOR HER, SHE'S
4 TERRIFIC. SHE REALLY UNDERSTANDS THIS, KNOWS WHAT
5 PEOPLE IN SCRO'S ARE THINKING.

6 DR. PAT TAYLOR: SO HISTORICALLY THE NAS
7 GAVE US THE GIFT, OF COURSE, OF A COUPLE SPECIFIC
8 USES. AND SINCE MOST OF THE FOCUS OF THE NAS
9 STANDARDS WAS ON PROVENANCE, IT SEEMS A MINOR THING.
10 BUT THEN RAPIDLY THERE GOT TO BE INTERESTING
11 CHANGES.

12 SO WHEN UNIVERSITIES AND HOSPITALS STARTED
13 THINKING ABOUT MAYBE MAKING THE LONGER CHANGES,
14 PEOPLE STARTED TO SAY, WAIT, WHAT'S HAPPENING HERE?
15 THERE'S THIS SCRO AND IT'S GOING TO BE REVIEWING ALL
16 KINDS OF BASIC SCIENCE RESEARCH THAT'S GOING ON IN
17 ALL KINDS OF INTERESTING AREAS. IT LOOKS LIKE AN
18 IMPOSSIBLE TASK FOR ESCRO'S AND EVEN WITHIN
19 INSTITUTIONS UNDEFINABLE.

20 SO I THINK THERE IS NO CLEAR STANDARD EVEN
21 WITHIN INSTITUTIONS. A SCRO MIGHT NOT EVEN BE THE
22 RIGHT BODY TO DO IT. NORMALLY IT WOULD BE AN IRB
23 BECAUSE A LOT OF THESE ARE BASIC SCIENCE FUNCTIONS
24 BECAUSE THEY'RE JUST NOT IRB FUNCTIONS. I THINK ONE
25 OUGHT TO BE WARY OF INCLUDING A BIG, LONG LIST

BARRISTERS' REPORTING SERVICE

1 BECAUSE INSTITUTIONS WILL FIND THEIR
2 ADMINISTRATION'S REAR END.

3 DR. BOTKIN: I HAD A QUESTION THAT I
4 WANTED TO THROW IN. WHAT DO WE MEAN BY
5 NOTIFICATION, OR WHAT DOES THIS POLICY MEAN BY
6 NOTIFICATION? IN OTHER CONTEXT, IRB'S, FOR EXAMPLE,
7 THEY HAVE TO APPROVE THINGS THAT ARE EXEMPT FROM THE
8 IRB BECAUSE YOU WANT TO MAKE SURE IT FITS WITHIN THE
9 CATEGORY THAT YOU ARE MAKING SURE IT FITS IN. MAYBE
10 THERE'S ENOUGH OVERSIGHT BY THE FUNDER HERE TO SAY
11 WE KNOW WHAT CATEGORIES WE'RE TALKING ABOUT. A LOT
12 OF TIMES, IF THE INVESTIGATOR IS MAKING THE CALL
13 ABOUT WHICH CATEGORY IT'S IN, THEY MAY BE WRONG.

14 SO DOES NOTIFICATION SIMPLY MEAN INCLUDING
15 ON A DATABASE, OR IS THERE SOME IMPLICATION THAT THE
16 INSTITUTION IS SAYING, YES, WE AGREE. IT'S IN THIS
17 CATEGORY. GO FORWARD.

18 DR. LOMAX: AGAIN, THIS WILL VARY ON AN
19 INSTITUTION-BY-INSTITUTION BASIS, BUT TYPICALLY THE
20 MODEL THAT WE LIKE TO SEE AND WHAT WE DO SEE IS IT'S
21 A COMMUNICATION TO THE COMMITTEE FROM THE
22 INVESTIGATOR SAYING I HAVE A CIRM-FUNDED PROTOCOL
23 WHERE WE WILL BE UTILIZING SOMATIC CELLS TO CREATE
24 IPS CELLS, AND THEY ARE IDENTIFIABLE UNDER COMMON
25 RULE. AGAIN, THE INTENT THERE IT'S A NOTIFICATION,

BARRISTERS' REPORTING SERVICE

1 IT'S A MESSAGE TO THE OVERSIGHT COMMITTEE, SO IT
2 GIVES THEM THE OPPORTUNITY TO SAY, OKAY, SAY, THEIR
3 MATERIALS ARE COMING FROM AN INTERNAL BANK WITHIN
4 THE INSTITUTION. HEY, THEY'RE GOING TO BE
5 DEVELOPING MATERIALS WITH A CIRM GRANT. THE BANK'S
6 COMING UP IN THE FUTURE, WHICH YOU WILL HEAR ABOUT
7 THIS AFTERNOON. WE KNOW WHAT'S REALLY IMPORTANT IS
8 THAT THE CONSENT PROTOCOL IS OF A CERTAIN LEVEL THAT
9 THEY WILL HAVE OPTIMAL UTILITY FOR BANKING AND
10 RESEARCH PURPOSES BASED ON THE CIRM REQUIREMENTS.
11 SO REALLY IT'S A MESSAGE TO GET PEOPLE TO THINK
12 ABOUT CONSENT.

13 AGAIN, THE CONCEPT HERE IS THAT WE STILL
14 WANT THE MESSAGE, BUT YOU DON'T NECESSARILY -- THE
15 SCRO DOESN'T NECESSARILY NEED TO BE THE MESSENGER.
16 THE IRB COULD STILL THINK ABOUT THAT IN THE
17 INSTITUTION IF, SAY, AN OFFICIAL WHO'S INTIMATE WITH
18 OUR CONSENT REQUIREMENTS GETS THAT NOTIFICATION,
19 THEY COULD GO TO THE IRB AND SAY WHAT'S REALLY
20 IMPORTANT HERE FROM A CIRM PERSPECTIVE IS THE
21 CONSENT. AND IF WE HAVE AN OPPORTUNITY TO THINK
22 ABOUT THAT IN THIS REVIEW, THAT'S WHAT WE NEED TO
23 DO.

24 DOES THAT MAKE SENSE? IT'S THE CONNECTION
25 BETWEEN THE MATERIALS, OUR CONSENT REQUIREMENTS, AND

BARRISTERS' REPORTING SERVICE

1 COMING OUT WITH AN IPS CELL AT THE OTHER END THAT IS
2 ROBUST FOR RESEARCH PURPOSES. THAT'S THE INTENT OF
3 THE POLICY.

4 DR. LOCKHART: IF I COULD JUST ADD
5 SOMETHING KIND OF RELATED. AS WE'RE LOOKING AT
6 THIS, I'M THINKING MOSTLY ABOUT KIND OF BURDEN,
7 ADMINISTRATIVE BURDEN, VERSUS BENEFIT. SO WOULD WE
8 BE LOSING ANYTHING BY LOSS OF NOTIFICATION?
9 PARTICULARLY, DO YOU THINK THERE'S A BENEFIT TO THE
10 SCRO IN KNOWING THAT SOME OF THESE CELL LINES, SOME
11 OF THESE IDENTIFIABLE CELL LINES ARE IN USE? I'M
12 ALSO THINKING ABOUT INSTITUTIONAL COORDINATION. SO
13 NOW IF THAT NOTIFICATION IS GOING TO THE IRB, AND
14 INSTITUTIONS WHERE THOSE ARE SEPARATE PROCESSES IN
15 IRB AND A SCRO, IS ANYTHING LOST BY THE SCRO
16 POSSIBLY NOT BEING AWARE THAT THESE LINES ARE IN USE
17 IF THEY LACK THE NOTIFICATION?

18 AND AS WELL, WOULD A PROGRAM BASICALLY AT
19 CIRM BE LOSING ANYTHING IF THIS NOTIFICATION IS LOST
20 IN TERMS OF TRACKING, MONITORING THOSE KINDS OF
21 THINGS?

22 DR. LOMAX: MY SENSE FROM TALKING TO OUR
23 GRANTEES IS NO BECAUSE THOSE INSTITUTIONS THAT
24 HAVE -- NOW, AGAIN, WE'RE TALKING ABOUT IDENTIFIABLE
25 CELLS AND DERIVING. THOSE INSTITUTIONS ARE STILL

BARRISTERS' REPORTING SERVICE

1 GOING TO GO TO THEIR SCRO FOR CIRM WORK. THEY'VE
2 ESTABLISHED THOSE. WHAT IT'S DOING IF FOR THOSE
3 INSTITUTIONS THAT ARE NEVER GOING TO HAVE A SCRO,
4 THIS GIVES THEM ANOTHER AVENUE TO KIND OF INTERACT
5 WITH THE IRB. IT'S THE INSTITUTIONAL OFFICIAL.

6 SO IN TERMS OF YOUR QUESTION, I WOULD
7 EMPHATICALLY SAY NO BECAUSE I DON'T THINK IT'S GOING
8 TO CHANGE THINGS FOR OUR EXISTING GRANTEES THAT HAVE
9 THIS INFRASTRUCTURE IN PLACE. ALL WE'RE DOING IS
10 ADDING ANOTHER PATHWAY FOR GRANTEES THAT MAY NEVER
11 HAVE A SCRO.

12 THE OTHER PROBLEM WE'VE RUN INTO IS THAT
13 THERE WAS AT A TIME THE ABILITY TO GO OUT TO A
14 THIRD-PARTY OVERSIGHT COMMITTEE IN CALIFORNIA AND
15 GET THOSE SERVICES. THAT OPTION NO LONGER EXISTS.
16 AGAIN, WE'RE HITTING A BOTTLENECK AT THE SCRO
17 COMMITTEE ACCESS POINT, AND THAT IS ACTUALLY
18 BECOMING A REAL PROBLEM FOR THE RESEARCH COMMUNITY.

19 DR. PRIETO: WITH SOME OF THESE, THE
20 THIRD, FOURTH, AND THE LAST ONE, I'M A LITTLE JUST
21 UNCOMFORTABLE WITH THE GENERAL IDEA OF OFFERING NO
22 NOTIFICATION OF ANYONE AS AN OPTION JUST ON
23 PRINCIPLE. I WONDER HOW MUCH OF A BURDEN IS IT TO
24 NOTIFY AN INSTITUTIONAL OFFICIAL THAT YOU'RE DOING
25 SOMETHING? IS THAT A SIGNIFICANT BURDEN FOR THE

BARRISTERS' REPORTING SERVICE

1 RESEARCHERS?

2 DR. LOMAX: CONCEPTUALLY TO SAY THE
3 COMMUNICATION IS BURDENSOME, PEOPLE VARY. IT CAN BE
4 FAIRLY SIMPLE. IT COULD BE AS EASY AS A SHORT
5 E-MAIL, AND THAT DOESN'T SOUND TERRIBLY BURDENSOME.
6 PART OF THE CHALLENGE IS AT OUR END. WE'RE VERY
7 COMMITTED TO THE FACT THAT IF WE HAVE A REQUIREMENT
8 IN PLACE, WE NEED TO THEN MAKE A POSITIVE
9 VERIFICATION THAT THAT REQUIREMENT HAS BEEN MET
10 BECAUSE IT'S PART OF OUR STANDARDS. WE TAKE THEM
11 VERY SERIOUSLY. SO ESPECIALLY WHEN WE GET DOWN INTO
12 SORT OF THE DEIDENTIFIED MATERIALS, THAT'S A LOT
13 OF -- THERE'S A LOT OF WORK GOING ON IN THAT AREA.

14 AND I GUESS MY QUESTION WOULD BE IS
15 THERE -- WE'VE TO DATE REALLY NOT SEEN ANY PROBLEMS
16 FROM A KIND OF OVERSIGHT OR ETHICS PERSPECTIVE, BUT
17 WE DO SPEND A LOT OF TIME GOING BACK AND VERIFYING
18 NOTIFICATION. SO IT IS ADMINISTRATIVELY A LOT OF
19 WORK. AND IF THE VIEW IS THAT THAT'S TIME WELL
20 SPENT, THEN WE KEEP DOING THAT WORK.

21 I THINK IT'S THE IMPLEMENTATION SIDE THAT
22 BECOMES QUITE CHALLENGING HERE.

23 DR. PRIETO: WOULD THERE BE A WAY OF
24 REDUCING THAT ADMINISTRATIVE BURDEN AT OUR END AND
25 AT THE REPORTING END JUST BY REQUIRING THAT THE

BARRISTERS' REPORTING SERVICE

1 INSTITUTION CERTIFY, MAKE A STATEMENT THAT THEY HAVE
2 DONE WHAT WE EXPECT THEM TO DO? I THINK TO PUT A
3 LEVEL OF RESPONSIBILITY THERE. JUST THE FACT THAT
4 THERE HAVE NOT BEEN PROBLEMS DOESN'T SAY TO ME THAT
5 THERE WILL NOT BE ETHICAL PROBLEMS.

6 DR. LOMAX: AGAIN, IF MY COLLEAGUES HAVE
7 VIEWS HERE. THE WAY WE'VE ALWAYS PROCEEDED IN THE
8 CONTEXT OF EVALUATING GRANT AWARDS THROUGH THE LENS
9 OF ALL OUR POLICIES, MEDICAL, ETHICAL, AND
10 SCIENTIFIC, IS ON A GRANT-BY-GRANT BASIS EXCEPT FOR
11 CERTAIN, SAY, BROADER STATEMENTS THAT APPLY TO THE
12 INSTITUTION. BECAUSE ALL THESE REQUIREMENTS ARE
13 EVALUATED ON A PROTOCOL-BY-PROTOCOL, GRANT-BY-GRANT
14 BASIS, I'M NOT SURE -- I'M NOT TERRIBLY COMFORTABLE
15 WITH THE IDEA THAT THERE WOULD BE SOME KIND OF
16 BLANKET THAT WOULD SORT OF CHANGE THAT. AGAIN, WE
17 DO STILL SPEND A LOT OF TIME LOOKING AT INDIVIDUAL
18 AWARDS FOR ALL THESE DETAILS, AND I THINK THAT'S AN
19 IMPORTANT PART OF OUR JOB. I'M JUST NOT SURE HOW TO
20 OPERATIONALIZE SOMETHING THAT WOULD BE SORT OF
21 BROADER IF THAT WAS YOUR POINT THERE.

22 DR. PRIETO: I DON'T KNOW THAT IT WOULD
23 HAVE TO BE EVEN NECESSARILY SEPARATE FROM THAT
24 PROCESS, BUT PERHAPS AS PART OF THAT IT WOULD BE
25 EXPECTED THAT GRANTEES CERTIFY THAT THEY HAVE

BARRISTERS' REPORTING SERVICE

1 NOTIFIED THEIR INSTITUTIONAL OFFICIAL THAT THEY ARE
2 DOING X, IN THIS CASE USING THESE CELL LINES, AND
3 THAT THEY MEET THE STANDARDS.

4 DR. LOMAX: AGAIN, THE WAY WE'VE TYPICALLY
5 DONE THAT IS ACTUALLY GET VERIFICATION, SEE THE
6 NOTIFICATION. SO LET ME TAKE THAT BACK TO STAFF AND
7 SEE IF THERE'S ALTERNATIVE WAYS OF MAKING THAT
8 DETERMINATION. AT THE MOMENT IT'S NOT OCCURRING TO
9 ME HOW EXACTLY WE'D DO THAT.

10 CHAIRMAN LO: LET ME MAKE A SUGGESTION
11 THAT TRIES TO BRING TOGETHER A LOT OF THE THOUGHTS
12 I'VE BEEN HEARING. SO I WANT TO SORT OF TIE
13 TOGETHER WHAT A NUMBER OF YOU HAVE BEEN SAYING.

14 SO, PAT, YOU REALLY UNDERLINED THE
15 IMPORTANCE OF LOOKING FOR SENSITIVE USES OF STEM
16 CELLS THAT WOULD REQUIRE A GREATER LEVEL OF SCRUTINY
17 THAN JUST BASIC SCIENCE MANIPULATION IN THE
18 LABORATORY TO LOOK AT RECEPTORS AND GENE EXPRESSION
19 AND THINGS. AND, NICOLE, YOU POINTED OUT THERE'S
20 REALLY A BALANCE THAT WE NEED TO SORT OF TAKE INTO
21 ACCOUNT OF THE BENEFITS OF WHATEVER REGULATIONS WE
22 HAVE VERSUS THEIR BURDENS.

23 IT STRIKES ME THAT ONE OF THE THINGS WE
24 HAVE TRIED TO DO IN THE PAST IS TO SAY LET'S LOOK AT
25 THE THINGS THAT WE ARE CONCERNED MIGHT HAVE AN

BARRISTERS' REPORTING SERVICE

1 UNACCEPTABLE RISK OF PROBLEMS AND TO REALLY FOCUS
2 OUR SCRUTINY OR OVERSIGHT ON THAT, AND THEN TO SORT
3 OF START TO LET GO OF THINGS WHERE THE EXPERIENCE
4 HAS SHOWN THAT THE RESEARCH IS REALLY NOT SENSITIVE,
5 NOT CONTROVERSIAL, DOESN'T RAISE ISSUES THAT AREN'T
6 CLEARLY COVERED, AND TO NOT THEN REQUIRE REGULATIONS
7 WHICH, AS GEOFF POINTED OUT, DO IMPOSE SOME LEVEL OF
8 ADMINISTRATIVE BURDEN WHICH WE COULD TRY AND
9 MINIMIZE. BUT IN POINT OF FACT, ALL OF US FROM
10 INSTITUTIONS KNOW THAT IF A REGULATION'S IN PLACE,
11 IT TENDS TO SAY LET'S MAKE SURE WE DOCUMENT IT AND
12 CHECK IT AND THINGS.

13 SO I GUESS I'M SORT OF TRYING TO POSE THE
14 QUESTION OF WHETHER WE CAN -- AGAIN, THIS IS ONE OF
15 THE CRITICISMS THAT THE COMMON RULE FACES, THAT YOU
16 DOCUMENT SO MANY THINGS LIKE WE RENEWED OUR RESEARCH
17 PROTOCOL EVEN THOUGH WE'RE JUST IN THE WRITING UP
18 THE DATA PHASE AND WE'RE NOT INTERACTING WITH
19 SUBJECTS AT ALL, AND IT'S ALL DEIDENTIFIED DATA AT
20 THIS POINT, YOU STILL HAVE TO RENEW IT AND DOCUMENT
21 YOU RENEWED IT AND STUFF. SO I'M JUST TRYING TO
22 STRUGGLE WITH CAN WE MAKE SURE THAT WE'RE CLEAR ON
23 THAT THERE IS SOME ACCEPTABLE REVIEW OF THINGS THAT
24 WE THINK ARE SENSITIVE OR OF CONCERN, COUPLE THAT
25 WITH SORT OF SAYING AND THERE ARE ALL THESE OTHER

BARRISTERS' REPORTING SERVICE

1 THINGS WHICH HAVE FALLEN UNDER THE AMBIT OF
2 NOTIFICATION THAT MAYBE NOW WE DON'T THINK, WITH
3 MORE EXPERIENCE, WE NEED TO.

4 I GUESS THE QUESTION I ORIGINALLY POSED,
5 GEOFF, AND, SCOTT, I GUESS YOU'VE BEEN INVOLVED, IS
6 IS IT REALLY CLEAR FROM WHAT WE'VE DRAFTED THAT SOME
7 OF THE THINGS THAT WE'RE CONCERNED ABOUT STILL
8 REQUIRE SOME SORT OF OVERSIGHT THAT WE'RE
9 COMFORTABLE WITH? I THINK THAT'S THE BALANCE.

10 THEN IF THAT'S CLEAR, I THINK NOTIFICATION
11 OF ALL THESE BASIC SCIENCE DEIDENTIFIED IN VITRO
12 ONLY, NOT DERIVING ANYTHING THAT'S A PRECURSOR TO A
13 GAMETE. AND, PAT, YOU COMPLICATED IT, OF COURSE, BY
14 SAYING, WELL, SUPPOSE YOU DERIVE AN ORGAN. YOU'RE
15 NOT JUST DOING LIVER CELLS. YOU'RE ACTUALLY PUTTING
16 ON SCAFFOLDING AND DERIVING AN ORGAN FOR
17 TRANSPLANTATION, OR A HEART WHICH HAS EVEN MORE
18 SYMBOLIC VALUE IN THE CULTURE.

19 DR. PAT TAYLOR: THOSE, OF COURSE, DO NOT
20 TRADITIONALLY REQUIRE SPECIAL REVIEW.

21 CHAIRMAN LO: IT'S JUST A HUNK OF
22 DEIDENTIFIED TISSUE THAT WE'RE MANIPULATING IN A
23 LAB. WHEN IT GOES INTO AN ANIMAL, THE IACUC DEALS
24 WITH ANIMAL SAFETY AS THEIR AMBIT. THAT WAS ONE OF
25 THE REASONS NAS THOUGHT ABOUT, WELL, COULD A SCRO

BARRISTERS' REPORTING SERVICE

1 THINK MORE BROADLY ABOUT THESE ISSUES THAT HAVE TO
2 DO WITH ALLEGED VIOLATIONS OF BOUNDARIES BETWEEN
3 HUMANS AND ANIMALS AND SYMBOLIC TRANSGRESSIONS. IT
4 LEADS US INTO A MURKY AREA, BUT AT LEAST TO THE
5 EXTENT THAT WE KNOW THERE ARE THINGS THAT ARE REALLY
6 GOING TO RAISE SENSITIVITIES, ARE WE COMFORTABLE
7 WE'VE HANDLED THEM APPROPRIATELY?

8 AND, AGAIN, FOR THOSE OF US WHO AREN'T
9 USED TO WRITING REGULATIONS AND INTERPRETING THEM, I
10 JUST ALWAYS LIKE TO LOOK FOR LOOPHOLES, THAT IF I
11 CAN SORT OF SAY I READ THIS AND IT DOESN'T APPEAR TO
12 ME THIS NEEDS TO GO TO ANYBODY'S REVIEW, LET'S JUST
13 GO SAIL ON AND DO IT.

14 DR. WAGNER: I THINK BASED ON JUST THE
15 VERY LAST COMMENTS YOU MADE, TO ME I WOULD STILL
16 FEEL COMPELLED TO HAVE A HIGHER LEVEL OVERSIGHT OF
17 IDENTIFIABLE IPS CELLS. THE FIELD IS MOVING SO
18 RAPIDLY, AS YOU'VE ALSO POINTED OUT, I THINK IT'S
19 BEYOND WHAT MOST IRB'S ARE CAPABLE OF MONITORING.
20 AND ITS IMPACT ON THE CONSENT FORM IS ALSO EVOLVING
21 AS NEW TECHNOLOGIES, NEW METHODOLOGIES EVOLVE.

22 FOR THE VERY REASON WHY A SCRO IS
23 DEVELOPED FOR ES CELL DERIVATIONS, WHICH NO ONE IS
24 CONTESTING, IT SOUNDS LIKE, THAT THAT CONTINUE,
25 WE'RE DOING THAT IN A WAY BECAUSE WE'RE TRYING TO

BARRISTERS' REPORTING SERVICE

1 ENSURE OR ASSURE SOCIETY THAT THESE CELLS WHICH HAVE
2 TREMENDOUS POTENTIAL, AND IT'S NOT JUST WHERE
3 THEY'RE DERIVED FROM, BUT IT'S HOW THESE CELLS ARE
4 BEING TESTED AND UTILIZED AND THE CONSENT PROCESSES
5 OF WHAT YOU COULD DO WITH THOSE CELLS, I THINK NEEDS
6 TO BE VERIFIED. AND I JUST THINK IT'S PREMATURE
7 THAT YOU LEAVE IT TO AN IRB AT THIS PARTICULAR
8 POINT, PARTICULARLY IF IT'S AN IDENTIFIABLE CELL
9 LINE.

10 IT'S ALSO THE GENETIC TESTING THAT'S DONE,
11 BUT, OF COURSE, AN IRB COULD EVALUATE THAT ASPECT OF
12 THINGS, BUT IT IS A PRETTY COMPLEX SET OF ISSUES
13 THAT ARE FAIRLY UNIQUE TO IPS, I THINK. SO I THINK
14 MY FEELING STILL IS I'VE NOT HEARD ANYTHING THAT
15 MAKES ME FEEL SECURE IN THE DERIVATION OF
16 IDENTIFIABLE FROM THE SOMATIC CELL THAT IT SHOULD BE
17 REMOVED FROM A SCRO.

18 I THINK THAT, ALSO, I WOULD LIKE TO ALSO
19 POINT OUT -- MAYBE WE SHOULD GET AWAY FROM THE TERM
20 "IPS." MAYBE IT JUST NEEDS TO BE A REPROGRAMMED
21 CELL RATHER THAN JUST SAYING IPS SO THERE'S NOT A
22 LOOPHOLE THAT PEOPLE FEEL LIKE YOU CAN, WELL, THIS
23 IS NOT REALLY IPS, THEREFORE, WE DON'T NEED TO
24 NOTIFY ANYONE.

25 I WANT TO GET BACK TO ALSO THE USE OF

BARRISTERS' REPORTING SERVICE

1 IDENTIFIABLE CELLS AS WELL AND THE DERIVATION OF
2 DEIDENTIFIED CELLS BECAUSE IT'S NOT QUITE SO
3 STRAIGHTFORWARD, I THINK, AS PEOPLE MIGHT THINK.
4 WHEN WE TALK ABOUT DEIDENTIFICATION, AS A PERSON WHO
5 TAKES CARE OF PATIENTS WITH GENETIC DISEASES, I GET
6 THE TISSUE, I KNOW THE PATIENT. I CAN ASSIGN IT A
7 NUMBER, BUT I STILL KNOW EVEN THOUGH, QUOTE, IT'S
8 DEIDENTIFIED. SO IT'S NOT SO EASY TO SAY WHAT IS
9 DEIDENTIFIED BECAUSE I CAN TELL BY THE MUTATION. I
10 CAN TELL BY THE DATE IT WAS COLLECTED. SO
11 DEIDENTIFIED HAS A DIFFERENT -- IS NOT QUITE SO EASY
12 IN THIS PARTICULAR SETTING.

13 DR. LOMAX: BERNIE, COULD I JUST ADD THE
14 CLARIFICATION BECAUSE THE SLIDE WAS INCORRECT BEFORE
15 AND I'VE NOW CORRECTED IT. ACTUALLY WE DID HEAR
16 SOME COMMENTS IN THE ADVANCE COMMENTS ALONG THE
17 LINES WHAT YOU WERE SAYING. THE ISSUE WAS
18 IDENTIFIABLE INDUCED PLURIPOTENT CELLS CURRENTLY
19 REQUIRE NOTIFICATION OF THE SCRO. AND THEN WE DID A
20 TWO-PART OPTION, EITHER NOTIFICATION OR TO INCLUDE
21 THE INSTITUTIONAL OFFICIAL AS AN OPTION BECAUSE WE
22 DID HEAR COMMENTS CONSISTENT WITH WHAT YOU WERE
23 SAYING, THAT WE DO SEE SOME VALUE ON THE
24 IDENTIFIABLE CELLS OF NOT JUST RELYING ON THE IRB.
25 SO IT CAME ABOUT 50-50.

BARRISTERS' REPORTING SERVICE

1 SO WE SORT OF THOUGHT, WELL, OKAY, LET'S
2 JUST PUT BOTH OPTIONS ON THE TABLE, NO NOTIFICATION
3 OR CONTINUE NOTIFICATION, BUT EXPAND IT TO, AGAIN,
4 ALLOW THAT OPTION. I MUST SAY THE RESPONSIBLE
5 INSTITUTIONAL OFFICIAL OPTION IS ONE WHERE, AGAIN,
6 IT TENDS TO BE A VERY SMALL COMPANY, BUT THERE'S
7 SOMEONE WHOSE JOB IT IS TO REALLY BE ON THE SORT OF
8 COMPLIANCE SIDE OF THINGS. AND THE EXPERIENCES I'VE
9 HAD WITH THOSE PEOPLE IS THEY'RE VERY ENGAGED IN
10 TERMS OF THE REQUIREMENTS AND ARE TRYING TO
11 IMPLEMENT THE REGULATIONS AS THEY'RE INTENDED. SO
12 THESE ARE VERY RIGOROUS.

13 DR. WAGNER: AT LEAST IF YOU GO BY WHAT
14 YOUR PROPOSAL IS, NO. 1, NO ONE IS TALKING ABOUT.
15 NO. 2, PERSONALLY I WOULDN'T LEAVE IT TO AN
16 INSTITUTIONAL OFFICIAL FOR THAT SPECIFIC. I WOULD
17 STILL HAVE NOTIFICATION OF A SCRO BECAUSE THE FACT
18 THAT THERE'S SO MANY DIFFERENT THINGS THAT ARE
19 EVOLVING IN THAT, THAT YOU NEED SOMEONE -- IT'S
20 COMPLICATED TO BE LEFT TO ONE INDIVIDUAL. HAVING
21 BEEN ON THE IRB, NOT ON A SCRO SPECIFICALLY, IT
22 OFTENTIMES REQUIRES DISCUSSION BECAUSE THE FIELD IS
23 CHANGING SO RAPIDLY. SO MAYBE FIVE YEARS FROM NOW
24 THAT MIGHT BE DIFFERENT.

25 DR. LOMAX: THAT CAME OUT. AND, AGAIN,

BARRISTERS' REPORTING SERVICE

1 THERE MAY BE RESEARCHERS FOR WHICH IT'S VERY
2 DIFFICULT TO ACCESS THE SCRO, AND THEY'RE IN A VERY
3 DIFFICULT SITUATION, AND THAT'S SORT OF THE
4 CHALLENGE THAT WE'RE BRINGING TO YOU.

5 DR. PAT TAYLOR: BERNIE, I DO THINK THAT
6 YOUR FORMULATION OF LOOKING AT WHAT EXPERIENCE HAS
7 TAUGHT IS VERY HELPFUL. SO I DO WANT TO SPEAK IN
8 FAVOR OF THE IDEA OF ELIMINATING MOST NOTIFICATIONS
9 OF IPS USES. AND THE REASON FOR THAT IS TWOFOLD.
10 ONE IS THAT IPS CELLS ARE USED IN THE CONTEXT OF
11 EXPERIMENTAL PURPOSES THAT OFTEN HISTORICALLY WOULD
12 HAVE BEEN FULFILLED USING CONVENTIONAL CELLS. SO
13 THERE'S NOTHING SPECIAL, IN A SENSE, ABOUT THEIR
14 PLURIPOTENCY THAT IS RELATED TO THE RISKS CREATED OR
15 THE REQUIRED REVIEW. OF COURSE, YOU'RE NOT
16 PROHIBITING THAT KIND OF REVIEW. IT'S JUST AS FAR
17 AS CIRM IS CONCERNED WITH ITS PARTICULAR STEM CELL
18 INTERESTS, THERE'S NO REQUIREMENT THAT MOST OF THOSE
19 KINDS OF EXPERIMENTS GET SCRO REVIEW. THERE
20 SHOULDN'T BE SCRO REVIEW BECAUSE, AGAIN, THEY'RE
21 SORT OF CONVENTIONAL USES RAISING NO PARTICULAR
22 RISKS.

23 AND THERE'S ALSO NOTHING IN THE
24 QUALIFICATIONS OF A SCRO THAT PARTICULARLY QUALIFIES
25 THEM TO DECIDE WHETHER OR NOT CREATION OF A LIVER

BARRISTERS' REPORTING SERVICE

1 SCAFFOLD FROM IPS CELLS VERSUS SOMETHING ELSE IS
2 APPROPRIATE. THERE'S REALLY NOTHING ABOUT A SCRO
3 THAT MAKES IT SUITABLE FOR THAT. THAT'S ONE THING.

4 I THINK IF WE LOOK AT AREAS THAT HAVE
5 ACTUALLY CAUSED SOME TROUBLE, AND YOU WANT TO BE
6 MODEST, I THINK THERE ACTUALLY IS A FAIRLY DEFINABLE
7 LIST. USE OF IPS CELLS TO CREATE EMBRYOS, TO CREATE
8 GAMETES, TO MAKE GERM LINE MODIFICATIONS, NEURAL
9 MODIFICATIONS, CHIMERAS, IT'S A FAIRLY RATIONAL LIST
10 OF THE REALITIES OF OTHER PLACES WHERE IT IS
11 REQUIRED AND SCRO'S ARE QUALIFIED TO PROVIDE IT.

12 SO YOU COULD MAKE THAT MINIMAL CORE. I
13 SUPPOSE ONE MIGHT ASK INSTITUTIONS HOW THEY'RE
14 ACTUALLY ADDRESSING NOVEL RISKS JUST TO SEE WHAT
15 THEY'RE DOING. BUT THAT KIND OF CORE, WHICH IS
16 PRETTY DEFINABLE BY ANALOGY TO THE REGULATIONS, AND
17 STILL GIVES YOU THE BULK OF YOUR PROPOSAL, WHICH IS
18 TO ELIMINATE ALL THIS, TO BE HONEST, FAIRLY
19 SENSELESS NOTIFICATIONS.

20 CHAIRMAN LO: YOU RAISED AN INTERESTING
21 POINT I JUST WANT TO UNDERLINE, WHICH IS THERE MAY
22 BE THINGS THAT WOULD CALL FOR SOME DELIBERATION, AS
23 JOHN MENTIONED, BUT THE SCRO MAY NOT BE UNIQUELY
24 QUALIFIED TO DO THAT; WHEREAS, THERE ARE OTHER
25 ISSUES WHERE WE THINK THE SCRO, AS WE'VE

BARRISTERS' REPORTING SERVICE

1 CONCEPTUALIZED IT, REALLY IS AN APPROPRIATE BODY FOR
2 THAT REVIEW.

3 DR. PAT TAYLOR: I THINK HIS POINT IS VERY
4 INSIGHTFUL. IT'S JUST THAT INSTITUTIONS HAVEN'T
5 CAUGHT UP WITH HIS POINT.

6 DR. LOCKHART: SO I THINK TO KIND OF GET
7 TO BERNIE'S QUESTION, I'M THINKING OF THIS IN TWO
8 DIFFERENT WAYS. I THINK THERE ARE SENSITIVE USES,
9 WHICH PAT IS POINTING OUT. BUT THEN ALSO WHEN I
10 LOOK AT SOMETHING LIKE CATEGORY 4 THAT'S LISTED
11 THERE, IS THERE A RISK, AS GEOFF WAS POINTING OUT,
12 ABOUT INVESTIGATORS BASICALLY GETTING IT WRONG? SO
13 ARE THERE INSTANCES OR CASES WHERE WE'D BE WORRIED
14 ABOUT AN INVESTIGATOR DECIDING THIS IS DEIDENTIFIED.
15 I DON'T NEED TO NOTIFY ANYBODY. I'M GOING TO GO
16 AHEAD AND MAKE A NEW LINE, AND THEY DON'T REALLY
17 UNDERSTAND WHAT DEIDENTIFIED MEANS MAYBE. ARE THERE
18 OTHER KINDS OF THINGS WE WANT TO WORRY ABOUT, BOTH
19 SENSITIVE USES, AND THEN OTHER WHAT DO WE WANT TO
20 LEAVE IN THE HANDS OF INVESTIGATORS?

21 IF WE DO MAKE THAT DECISION THAT
22 NOTIFICATION IS NOT REQUIRED, THEN DO WE NEED TO
23 PROVIDE GUIDANCE TO THE ACTUAL PI WHO'S MAKING
24 THOSE CALLS SO THAT THEY MAKE THAT INTERPRETATION
25 CORRECTLY?

BARRISTERS' REPORTING SERVICE

1 SECONDLY, IF THIS IS PRIMARILY AN
2 ADMINISTRATIVE BURDEN PROBLEM, THEN IF IT'S DECIDED
3 NOTIFICATION IS NEEDED IN SOME INSTANCES, ARE THERE
4 OTHER APPROACHES WE CAN TAKE TO STREAMLINE SOME OF
5 THIS TO MAKE IT EASIER BOTH FOR INSTITUTIONS AS WELL
6 AS FOR CIRM TO KIND OF TAKE CARE OF IT ON THE OTHER
7 SIDE SO THAT IT'S EASIER, WE GET WHATEVER BENEFIT,
8 THERE'S THE NOTIFICATION, WHILE MAKING IT EASIER,
9 ESPECIALLY SINCE THIS HAS BEEN GOING ON FOR A WHILE,
10 THERE'S PROBABLY SOME BRIGHT IDEAS ABOUT HOW THAT
11 COULD HAPPEN.

12 DR. WAGNER: JUST TO ADDRESS THE SECOND
13 POINT IS REALLY YOU HAVE THE IRB'S, YOU HAVE THE
14 FEDERALWIDE ASSURANCES THAT THEY HAVE FOR DHHS SO
15 THAT BASICALLY THEY DON'T HAVE TO GO TO DHHS TO GET
16 APPROVAL FOR EVERYTHING THEY DO. IT'S AN ASSURANCE
17 THAT THEY'RE FOLLOWING A CERTAIN POLICY. SO I THINK
18 THERE'S SOMETHING THAT YOU COULD DO LIKE THAT THAT
19 PREVENTS CIRM -- ELIMINATES THE NEED FOR CIRM TO
20 SPECIFICALLY VERIFY EVERY SINGLE APPLICATION.

21 DR. LOCKHART: OR MAYBE IF INSTITUTIONS
22 ARE ALREADY SUBMITTING PROGRESS REPORTS, MAYBE WHEN
23 THEY SUBMIT THEIR PROGRESS REPORT, THEY INCLUDE
24 THOSE KINDS OF THINGS. THEY INCLUDE THEIR
25 CORRESPONDENCE FROM THE YEAR OR THEIR VERIFICATIONS,

BARRISTERS' REPORTING SERVICE

1 OR THERE'S A CHECKLIST THEY'RE REQUIRED TO FILL OUT
2 OR SOMETHING SO GEOFF IS STILL GETTING INFORMATION
3 HE NEEDS, BUT MAYBE NOT HAVING TO DO IT IN REAL
4 TIME.

5 DR. LOMAX: WE DO THAT AT THE PROGRESS
6 REPORT PHASE. WE'RE ALWAYS DOING THOSE EVALUATIONS,
7 BUT IT STILL IS A LOT OF -- AS A LOT OF YOU MAY BE
8 AWARE, RESEARCH MOVES DOWN A CERTAIN PATHWAY,
9 THERE'S A PROTOCOL CHANGE, THERE'S A PROTOCOL CHANGE
10 FOR A VERY GOOD REASON CONSISTENT WITH WHAT THEY'RE
11 BEING FUNDED TO DO. OOPS, THERE WAS A NOTIFICATION
12 THERE. I THINK FOR THE MOST PART, TAKING THE SPIRIT
13 OF YOUR COMMENT, WE'RE DOING THAT.

14 AND, AGAIN, THIS IS OUR SORT OF ONE
15 PROPOSAL ABOUT HOW WE CAN BE DOING THAT FROM A MORE
16 EFFICIENT PERSPECTIVE. AND, AGAIN, THAT'S THE
17 PURPOSE OF THIS CONVERSATION. WE WILL CONTINUE TO
18 THINK ABOUT THIS SORT OF SENSE OF ARE THERE OTHER
19 MECHANISMS TO GET -- WHAT I'M HEARING IS SORT OF
20 THAT EXTRA LOOK OR THAT EXTRA -- THAT'S WHAT I GET.
21 I THINK WE'RE GOING TO SEE WHERE THIS CONVERSATION
22 ENDS UP.

23 SO THE POINT IS WE ARE DOING THAT BOTH IN
24 THE PROGRESS REPORTS AND WE DO NEED TO HAVE A SET OF
25 THINGS IN PLACE PRIOR TO FUNDING. THAT ABSOLUTELY

BARRISTERS' REPORTING SERVICE

1 HAS TO BE THERE, AND THAT'S WHERE WE SPEND A LOT OF
2 TIME ON THOSE PROTOCOLS.

3 CHAIRMAN LO: JOHN, SCOTT ACTUALLY HAD A
4 VERY, I THOUGHT, VERY USEFUL PROCEDURAL POINT ABOUT
5 SORT OF WE DON'T NEED TO SOLVE THESE PROBLEMS TODAY
6 BECAUSE THIS IS ONE STEP IN A PROCESS. SCOTT, WHY
7 DON'T YOU WALK US THROUGH THAT A LITTLE BIT.

8 MR. TOCHER: I THINK THE POINT THAT I WAS
9 JUST MAKING TO BERNIE IS THAT ON SOME OF THESE
10 ISSUES WHERE IT SOUNDS LIKE THERE ARE VERY GOOD
11 POINTS THAT ARE BEING AIRED ON SOME OF THESE
12 AMENDMENTS, THAT IT'S NOT NECESSARILY NECESSARY THAT
13 WE COME TO A FINAL CONCLUSION OR RECOMMENDATION
14 TODAY, BUT THAT WE MAYBE ADVISE THAT THIS IS
15 SOMETHING TO THE BOARD THAT IS WORTH INVESTIGATING
16 FURTHER THROUGH THE PUBLIC COMMENT PROCESS
17 ESPECIALLY. AND SO WE COULD GO FORWARD WITH, AS
18 GEOFF HAS IDENTIFIED, FOR INSTANCE, IN THE THIRD
19 ROW, THAT WE COULD INCLUDE SOME OF THIS AS OPTIONAL
20 LANGUAGE FOR THE PURPOSE OF SOLICITING INPUT FROM
21 THE REGULATED COMMUNITY, AND THEN COME BACK WITH
22 SORT OF THE RESULT OF THAT AND RECOMMENDATIONS BASED
23 ON WHAT WE'VE HEARD AND SORT OF WHAT WOULD BE THE
24 BEST RECOMMENDATION FOR MOVING FORWARD.

25 CHAIRMAN LO: JOHN WAGNER AND OTHERS.

BARRISTERS' REPORTING SERVICE

1 DR. WAGNER: I GUESS THE ISSUE OF THE
2 DEIDENTIFIED CELLS, IF IT'S TRULY DEIDENTIFIED, THEN
3 PERSONALLY I DON'T HAVE ANY PROBLEMS WITH THAT. BUT
4 I WAS JUST TRYING TO MAKE THE POINT THAT WHAT
5 INVESTIGATORS ARE DOING NOW, AT LEAST THERE'S
6 EXAMPLES OF INVESTIGATORS WHAT THEY'RE DOING NOW, IS
7 THAT THEY'RE NOT TRULY DEIDENTIFIED EVEN THOUGH THEY
8 MAY BE ASSIGNED A NUMBER OR A CODE OR WHATEVER THAT
9 IS. AND WE JUST HAVE TO FIGURE A WAY OF ENSURING
10 DEIDENTIFICATION OR EXPLAINING WHAT THAT MEANS
11 BECAUSE OF THE FACT THAT THIS ALSO HAS AN IMPACT, I
12 THINK, UPON A TOPIC FOR LATER TODAY WHERE YOU'RE
13 GOING TO BE TALKING ABOUT CREATING AN IPS BANK. IF
14 THEY DON'T FULFILL CERTAIN CONSENT REQUIREMENTS,
15 THEY MAY GET EXCLUDED FROM THE BANK, OR YOU MAY FIND
16 THAT THERE IS SOME ETHICAL ISSUE THAT DEVELOPS
17 BECAUSE OF INADEQUATE CONSENTING BECAUSE THESE
18 PATIENTS WERE NEVER REALLY DEIDENTIFIED TO BEGIN
19 WITH OR WHATEVER.

20 THE FACT IS THAT I'M CONCERNED IT'S GOING
21 TO HAVE MORE RAMIFICATIONS THAN YOU MIGHT THINK.
22 AND WE'LL GET INTO THAT LATER.

23 DR. ROBERT TAYLOR: I GUESS I WAS GOING TO
24 SAY TO ME THIS ISSUE REALLY REQUIRES A HELL OF A LOT
25 MORE WORK. I THINK IT'S REALLY, REALLY VERY, VERY

BARRISTERS' REPORTING SERVICE

1 COMPLICATED. AND SO FOR ONE, THE SENSE THAT I GET
2 AND KIND OF SORT OF A BROADER PHILOSOPHICAL THING,
3 AND MAYBE I'M MISINTERPRETING THIS, GEOFF, BUT WHEN
4 YOU SORT OF INTRODUCED THIS, YOU TALKED A LITTLE BIT
5 ABOUT THE NIH REQUIREMENTS VERSUS OUR REQUIREMENTS.
6 AND TO BE HONEST, I'VE ALWAYS FELT THAT WE SHOULD
7 HAVE A HIGHER STANDARD IN PART BECAUSE IT'S REALLY
8 THE APPLICATION OF THESE CELLS. AND I THINK THIS
9 DISCUSSION ABOUT DOES PLURIPOTENCY ACTUALLY MEAN
10 ANYTHING ANYMORE, I THINK IT KIND OF DOESN'T WITH
11 REPROGRAMMING.

12 SO IT'S REALLY THE APPLICATION OF THE
13 CELLS THAT WE'RE TALKING ABOUT RATHER THAN HOW
14 THEY'RE DERIVED, I THINK, IS REALLY THE BIGGEST
15 ISSUE.

16 AND BECAUSE -- WHEN I VOTED FOR PROP 71, I
17 THOUGHT THAT THERE WAS REALLY AN APPLIED SCIENCE TO
18 THIS. I THINK IT WAS THE EXPECTATION OF THE
19 TAXPAYERS THAT THIS REALLY IS GOING TO LEAD TO
20 APPLICATION, NOT JUST WE CAN DO THE SAME KINDS OF
21 EXPERIMENTS THAT WE USED TO DO IN FORCING
22 FIBROBLASTS NOW WITH AN IPS LINE, BUT THERE'S REALLY
23 INTENDED TO BE AN APPLICATION. I THINK REALLY A LOT
24 OF THESE ISSUES, I'M UNCOMFORTABLE REMOVING THE SCRO
25 FROM LOTS OF THIS OVERSIGHT BECAUSE I THINK THAT

BARRISTERS' REPORTING SERVICE

1 IT'S REALLY THAT ULTIMATE PRECLINICAL CLINICAL
2 APPLICATION THAT'S REALLY WHAT WE'RE HERE FOR. AND
3 THAT, I BELIEVE, REQUIRES A DIFFERENT LEVEL OF
4 ATTENTION THAN THE NIH TYPICALLY AFFORDS FOR THE
5 KINDS OF THINGS THAT WE'RE DOING ON A MORE PURE
6 SCIENCE LEVEL. SO I DON'T KNOW. I DON'T KNOW. I
7 THINK THIS IS COMPLICATED.

8 CHAIRMAN LO: SO, AGAIN, LET ME JUST SORT
9 OF POINT OUT THAT WHEN IT ACTUALLY INVOLVES
10 INJECTING CELLS THAT ARE COVERED CELLS OR I WOULD
11 SUGGEST ALSO DIRECTLY REPROGRAMMING CELLS, COVERED
12 STEM CELL LINES OR DIRECTLY REPROGRAMMING CELLS,
13 INTO ANIMALS AND NONHUMAN ANIMALS AND HUMANS,
14 CERTAINLY WITH HUMANS, THERE'S A WHOLE LOT OF
15 OVERSIGHT THAT COMES INTO PLAY. AND FOR NONHUMAN
16 ANIMALS, WE'VE SORT OF TRIED TO SAY THERE'S CERTAIN
17 TYPES OF THAT KIND OF RESEARCH INVOLVING INJECTIONS
18 INTO THE CNS, NO INJECTIONS OF HUMAN CELLS INTO
19 BLASTOCYSTS. SO WE'VE, AGAIN, TRIED TO FOLLOW WHAT
20 PAT WAS SUGGESTING, THAT WE LOOK AT END USES OF
21 CELLS THAT ARE SENSITIVE AND TO SORT OF FOCUS ON
22 THAT.

23 I WANT TO THROW IN ONE MORE COMPLICATION
24 WHICH HAS TO DO WITH THE DEIDENTIFIED CELLS,
25 REPROGRAMMED OR COVERED STEM CELL LINES. TAKING

BARRISTERS' REPORTING SERVICE

1 ACCOUNT OF JOHN'S POINTS ABOUT HOW TAKING OVERT
2 IDENTIFIERS OFF MAY NOT MAKE THEM TRULY
3 IDENTIFIABLE, AT LEAST TO THE PEOPLE WHO ARE
4 INVOLVED AS CLINICIAN INVESTIGATOR, SORT OF
5 IDENTIFYING THE PATIENT AND THE DISEASE AND
6 OBTAINING MATERIALS, ARE THERE SOME USES, END USES
7 OF REPROGRAMMED CELLS THAT EVEN IF THE CELLS ARE
8 DEIDENTIFIED, THE ORIGINAL DONOR MAY HAVE AN
9 INTEREST IN THOSE USES?

10 SO I GUESS ONE CLEAR THING WOULD BE IF YOU
11 TOOK TRULY DEIDENTIFIED SOMATIC CELLS AND SOMEHOW
12 COULD REPROGRAM THEM INTO GAMETES, AND THEN IN THE
13 LAB USE THOSE GAMETES FOR IVF EXPERIMENTS. AS PROOF
14 OF PRINCIPLE, THEY REALLY ARE GAMETES. THEY
15 FUNCTION LIKE GAMETES. THEY DON'T JUST LOOK LIKE
16 GAMETES. I CAN IMAGINE A LOT OF PEOPLE SAY, WAIT A
17 MINUTE, JUST BECAUSE THEY'RE DEIDENTIFIED.

18 SO DO WE AGREE WITH THAT, THAT JUST
19 DEIDENTIFICATION DOESN'T TAKE SOME VERY SENSITIVE
20 USES OFF THE TABLE IN TERMS OF SPECIAL OVERSIGHT?
21 AND THEN WHAT IS THAT SET OF HIGHLY SENSITIVE USES?
22 I THINK GIVEN THE POLITICS OF THE COUNTRY, I THINK
23 THE REPRODUCTIVE ISSUES CERTAINLY WOULD PROBABLY
24 FALL INTO THAT, BUT ARE THERE OTHERS?

25 PAT, YOU RAISED REALLY INTERESTING

BARRISTERS' REPORTING SERVICE

1 QUESTIONS ABOUT CELLULAR TRANSPLANTATION WHERE IT'S
2 AN ORGAN ALL OF WHOSE CELLS COME FROM ME AS OPPOSED
3 TO JUST INJECTING SOME CELLS. I DON'T KNOW THAT WE
4 KNOW THE ANSWER TO THAT BECAUSE THIS IS ALL SO NEW
5 AND THE SCIENCE IS JUST EVOLVING.

6 DR. PAT TAYLOR: I AGREE WITH YOU. THE
7 DISTINCTION BETWEEN DEIDENTIFICATION AND NOT RESTED
8 ON A SINGLE LINE IN A FEDERAL BIOETHICS REVIEW
9 SAYING THAT REALLY THE ONLY INTEREST OF AN
10 INDIVIDUAL WHEN IT CAME TO CERTAIN KINDS OF RESEARCH
11 WAS PRIVACY. AND, OF COURSE, THERE ARE MANY OTHER
12 INTERESTS THAT PEOPLE HAVE, SUCH AS, AS YOU POINTED
13 OUT IN ARTICLES AND ELSEWHERE, THE PARTICULAR
14 ATTACHMENT THEY HAVE TO PARTICULAR USES OF PARTS OF
15 THEIR BODY, AND A BELIEF IN A SENSE THAT THEY OUGHT
16 TO BE ABLE TO PRESCRIBE CERTAIN USES THEY WOULD
17 OBJECT TO AND HAVE TROUBLE MAKING A DONATION.

18 SO IT'S SO ARCHAIC THE DISTINCTION BETWEEN
19 DEIDENTIFICATION AND NOT PARTLY FOR THE REASON THAT
20 NOTHING IS -- CERTAINLY DEIDENTIFIED, OF COURSE, BUT
21 PROBABLY BECAUSE IT PROBABLY REALLY NEVER CAPTURED
22 THE FULL RANGE OF HUMAN INTEREST ANYWAY. CERTAINLY
23 THE POTENTIAL OF DIFFICULT USES.

24 SO ALSO IT'S A BIT ODD TO THINK THAT
25 WHETHER OR NOT AN EXPERIMENT REQUIRES REVIEW FROM A

BARRISTERS' REPORTING SERVICE

1 SOCIAL PERSPECTIVE OUGHT TO TURN ON WHETHER OR NOT
2 THE INDIVIDUAL'S IDENTIFIED. CERTAINLY THE NATURE
3 OF THE EXPERIMENT OUGHT TO BE WHAT DRIVES WHETHER OR
4 NOT IT OUGHT TO BE REVIEWED, NOT THE HAPPENSTANCE
5 THAT AN INDIVIDUAL IS IDENTIFIED OR NOT. ALL THAT
6 RELATES TO IS A FUNCTION OF IRB'S TO PROTECT THE
7 INTEREST OF THE INDIVIDUAL AS NARROWLY ENCAPSULATED.

8 DR. ROBERTS: IT SEEMS AS IF, THEN, THE
9 CATEGORIES THAT WE'RE CONCERNED ABOUT ARE EXPANDING
10 THE MORE WE DISCUSS THEM. SO FIRST THERE WAS THE --
11 SO THERE'S THIS ADMINISTRATIVE BURDEN. THERE'S A
12 COUPLE SENSITIVE AREAS WHERE WE MIGHT NEED
13 NOTIFICATION OF SCRO COMMITTEES. THEN I THINK,
14 PAT, YOU SUGGESTED SOME MORE BEYOND THE
15 TRANSPLANTATION TO NONHUMAN ANIMALS AND THE CREATION
16 OF GAMETES. THERE MIGHT BE EVEN MORE THAN THAT.
17 AND THEN NOW THE DISTINCTION BETWEEN DEIDENTIFIED
18 AND IDENTIFIED IS BREAKING DOWN.

19 SO IT JUST GETS MORE AND MORE CONCERNING
20 ABOUT TAKING AWAY THE REQUIREMENT OF NOTIFICATION OR
21 AT LEAST, LET'S SAY, I THINK IT'S HARDER THAN IT
22 INITIALLY LOOKED TO FIGURE OUT WHERE WE WOULD BE
23 COMFORTABLE DRAWING SOME LINE WHERE WE WOULD BE
24 COMFORTABLE WITH ELIMINATING NOTIFICATION. AT LEAST
25 I'M FEELING THAT WAY. I THINK THIS REQUIRES A LOT

BARRISTERS' REPORTING SERVICE

1 MORE DISCUSSION, BUT ALSO EVEN JUST QUESTIONING
2 WHETHER WE CAN DRAW THAT LINE BECAUSE EVEN IN SAYING
3 MAYBE WE CAN COME UP WITH FIVE SENSITIVE USES WHERE
4 WE WANT IT, THEN MY QUESTION IS WHAT IF TOMORROW A
5 RESEARCHER COMES UP WITH ANOTHER USE WE HADN'T
6 THOUGHT ABOUT?

7 NOW THEY HAVE BEEN -- THEY DON'T HAVE TO
8 NOTIFY BECAUSE IF IT'S NOT LISTED AMONG THOSE
9 SENSITIVE USES, THEY WOULD FALL UNDER THE CATEGORY
10 OF NO NOTIFICATION REQUIRED. THAT'S SOMETHING THAT
11 WE HAVE TO THINK ABOUT, I THINK. THAT'S NOT SAYING
12 THAT WE COULDN'T COME UP WITH A LIST, BUT JUST THAT
13 WE HAVE TO ANTICIPATE THAT THERE MAY BE ADDITIONAL
14 USES.

15 MR. SWEEDLER: MY NAME IS IAN SWEEDLER.
16 LIKE SCOTT, I'M A LAWYER AT CIRM. AND I JUST WANTED
17 TO OFFER A COUPLE OF THOUGHTS FROM THINGS THAT
18 OCCURRED TO ME FROM THE SIDELINES. ONE IS TO FOLLOW
19 UP A BIT ON WHAT SCOTT SAID ABOUT THE RULEMAKING
20 PROCESS. SOMEWHERE DOWN THE LINE WE'RE GOING TO
21 HAVE TO CERTIFY TO THE OFFICE OF ADMINISTRATIVE LAW
22 THAT WE DID CONSIDER LESS BURDENSOME ALTERNATIVES TO
23 ANY RECOMMENDATION THAT WE ADOPT, AND THAT THERE'S A
24 REASON FOR GOING WITH THE MORE BURDENSOME ONE.

25 IN AREAS WHERE I HEAR GENERALIZED

BARRISTERS' REPORTING SERVICE

1 STATEMENTS OF CONCERN, IT WOULD BE ESPECIALLY
2 HELPFUL FOR US IN THE RECORD THAT WE'LL BE USING
3 DOWN THE ROAD TO HEAR EXAMPLES OF REALLY THE
4 SPECIFIC CONCERNS THAT YOU THINK ARE ADDRESSED BY
5 REQUIRING NOTIFICATION AS OPPOSED TO NOT OR APPROVAL
6 AS OPPOSED TO NOT.

7 AND THEN JUST ANOTHER THOUGHT THAT
8 OCCURRED TO ME. IT SEEMS LIKE THERE ARE THIS SET OF
9 CONCERNS THAT GOES TO WHETHER SOMETHING IS DERIVED
10 FROM AN EMBRYONIC SOURCE OR NOT. THERE'S A SET OF
11 CONCERNS ASSOCIATED WITH WORKING ON SOMETHING
12 DERIVED FROM A SOMATIC SOURCE. THERE'S A SET OF
13 CONCERNS ABOUT IDENTIFIED AND DEIDENTIFIED, AND A
14 SET OF CONCERNS ABOUT POTENTIAL USES. AND IT SEEMS
15 LIKE THOSE CONCERNS SORT OF -- THERE ARE MULTIPLE
16 AXES HERE, BUT WHERE THEY APPLY, THEY APPLY.

17 AND MAYBE JUST SORT OF BREAKING IT DOWN
18 THAT WAY SO THAT THEY COULD BE DEALT WITH
19 CONSISTENTLY. SO, FOR EXAMPLE, I DON'T KNOW WHY YOU
20 WOULD HAVE A REQUIREMENT FOR USE OF DEIDENTIFIED
21 IPSC'S OTHER THAN BASED ON POTENTIAL USES THAT YOU
22 WOULDN'T HAVE FOR ANY USE OF DEIDENTIFIED SOMATIC
23 CELLS. AND THEN IF IT'S NOT A CONCERN THAT IS
24 SPECIFIC TO STEM CELL RESEARCH, THEN THERE'S ALWAYS
25 A QUESTION OF WHETHER WE SHOULD BE TRYING TO REMAKE

BARRISTERS' REPORTING SERVICE

1 THAT PART OF IT BECAUSE WE DO TRY TO STAY FOCUSED ON
2 THE THINGS THAT ARE OUR FOCUS. SO THOSE ARE JUST
3 SOME COMMENTS FROM THE SIDELINES.

4 CHAIRMAN LO: THIS, TO BE SURE, DOROTHY,
5 HAS GOTTEN COMPLICATED. IT'S A COMPLICATED SET OF
6 ISSUES. LET ME JUST MAKE ANOTHER SUGGESTION ON WHY
7 THIS IS DIFFICULT. I THINK WE'RE ASSESSING THE RISK
8 OF RESEARCH THAT IS SO SENSITIVE THAT IT REALLY
9 REQUIRES SCRUTINY. AND RISK HAS TWO DIMENSIONS,
10 RIGHT, PROBABILITY AND MAGNITUDE. SO IN TERMS OF
11 PROBABILITY, THE VAST MAJORITY OF IPS OR DIRECT
12 REPROGRAMMING RESEARCH REALLY IS NOT CONTROVERSIAL.
13 IT'S STUDYING THE BASIC SCIENCE OF REPROGRAMMING.

14 AND SO IS THE CONCERN THAT ALL THOSE
15 PROTOCOLS IF WE -- SO THAT'S A CONCERN, HOW MANY
16 PROTOCOLS WE'RE DOING. BUT THERE ARE A FEW
17 PROTOCOLS THAT REALLY DO RAISE SOME DOOZIES OF
18 ETHICAL ISSUES.

19 SO WHEN I WAS AT UCSF CHAIRING A
20 COMMITTEE, THE ONE PROTOCOL, THE KINDS OF PROTOCOLS
21 WE GOT, THIS WAS ABOUT SIX MONTHS TO A YEAR AGO,
22 REALLY HAD TO DO WITH TRYING TO DERIVE GAMETES FROM
23 PLURIPOTENT CELLS OR ACTUALLY LATER DIRECT
24 REPROGRAMMING. SO THE QUESTION IS ALWAYS HOW
25 CAREFULLY DO YOU SIFT THROUGH THE VAST MAJORITY OF

BARRISTERS' REPORTING SERVICE

1 UNSENSITIVE, NONSENSITIVE PROTOCOLS TO IDENTIFY THE
2 COUPLE THAT REALLY ARE SENSITIVE? THEN WHERE DO YOU
3 SEND THEM FOR REVIEW?

4 AND THEN BOTH PAT AND DOROTHY, I THINK,
5 WERE POINTING OUT THE DILEMMA THAT IF WE HAVE A
6 SPECIFIED LIST OF COME TO US IF YOU'RE DOING A, B,
7 AND C, AND SOMEONE ELSE SAYS I'M NOT DOING A, B, AND
8 C. I'M DOING E.

9 DR. ROBERTS: IT'S WORSE.

10 CHAIRMAN LO: SO PART OF IT IS TRYING TO
11 REGULATE IN WAYS THAT DOES MORE GOOD THAN HARM. I
12 THINK AT SOME POINT THE FRUSTRATION IS THAT IF
13 YOU'RE TRYING TO REGULATE EVERYTHING TO AVOID AN
14 ERROR OF THE TYPE THAT WE LET SOMETHING THROUGH
15 WITHOUT LOOKING AT IT, THEN YOU RUN THE RISK OF
16 BURDENING A LOT OF THINGS. ON THE OTHER HAND, IF
17 YOU SAY AT SOME POINT WE EXPECT THAT RESEARCHER TO
18 STEP UP AND SAY THIS ISN'T COVERED IN A, B, AND C,
19 BUT I'M JUST WONDERING IF GUYS REALLY ARE
20 COMFORTABLE WITH MY JUST SAYING I DON'T EVEN HAVE TO
21 NOTIFY YOU OF THIS. I JUST NOTIFIED YOU BY CALLING
22 YOU.

23 AND I THINK SOME OF THE MOST INTERESTING
24 INTERACTIONS WE HAVE ARE WHEN PEOPLE SAY I JUST HAVE
25 A -- SOMETIMES IT'S JUST, OH, I HEARD THAT SOME

BARRISTERS' REPORTING SERVICE

1 RESEARCHERS ARE TRYING TO DO THIS. WOULD THAT EVER
2 HAVE TO COME TO YOUR COMMITTEE? MY FIRST RESPONSE
3 IS IS IT YOU OR YOUR FELLOW OR YOUR BOSS WHO'S DOING
4 THIS RESEARCH? IT'S NOT SOME PEOPLE.

5 SO I GUESS THAT'S THE OTHER THING.
6 THERE'S A COST TO OVERREGULATING WHEN MOST OF THE
7 THINGS THAT YOU'RE DEALING WITH ARE NOT THAT
8 SENSITIVE.

9 DR. LOMAX: BERNIE, CAN I COMMENT JUST ON
10 THAT ONE POINT, PLEASE, BECAUSE I THINK I REALLY
11 THINK THE FRAMEWORK WE HAVE HAS BEEN INCREDIBLY
12 ROBUST AND EFFECTIVE. I JUST WANT TO ADVOCATE FOR
13 IT FOR A MOMENT. JUST TO REMIND YOU OF THOSE
14 GUIDEPOSTS OR THE AXIS OF WHICH WE HAVE DECISION
15 POINTS. SO WE DISTINGUISHED QUITE CLEARLY BETWEEN
16 IN VIVO AND IN VITRO RESEARCH, AND ALL THIS IS ABOUT
17 IN VITRO. ONCE YOU GO IN VIVO AND THEN THAT SORT OF
18 HORIZONTAL AXIS, IF YOU WILL, IS BETWEEN SOMATIC AND
19 THEN YOU MOVE TO GAMETE OR EMBRYO. IT'S A FAIRLY
20 SIMPLE, BUT INCREDIBLY ROBUST FRAMEWORK THAT I WILL
21 SAY TO DATE I THINK HAS BEEN VERY EFFECTIVE.

22 SO JUST TO POINT OUT WHAT WE HAVE IS
23 PRETTY GOOD. I KNOW THIS IS A GREAT CONVERSATION,
24 BUT WE'RE NOW IN ONE LITTLE QUADRANT OF THAT AND
25 REALLY STRUGGLING OVER SOME DETAILS. THAT'S

BARRISTERS' REPORTING SERVICE

1 TERRIFIC. THAT'S WHY WE'RE HERE. I DO WANT TO
2 EMPHASIZE I THINK THAT FRAMEWORK HAS BEEN VERY
3 SUCCESSFUL BOTH IN TERMS OF GETTING THE RIGHT STUFF
4 UNDER THE MICROSCOPE THAT WE WANT AND BEING ABLE TO
5 EDUCATE INSTITUTIONS ABOUT WHAT WE'RE LOOKING FOR.

6 CHAIRMAN LO: THE EDUCATION. AGAIN, IT'S
7 THE VERY LOW PROBABILITY OF HIGH SALIENCE OR VALENCE
8 OF THE EVENT THAT'S VERY, VERY HARD TO REGULATE, BUT
9 THERE ARE OTHER THINGS THAT SORT OF CAN SLIP THROUGH
10 THE CRACKS. ANIMAL RESEARCH -- INJECTION OF HUMAN
11 STEM CELLS INTO NONHUMAN ANIMALS THAT DON'T GO INTO
12 THE BRAIN CAN RAISE SENSITIVITIES. I WOULD JUST
13 WONDER IF I HAD DEVELOPED A SCAFFOLD FOR A HEART AND
14 HAD A HEART THAT WAS MADE OF HUMAN CELLS AND THE
15 SCAFFOLDING WAS SUCH THAT IT LOOKED LIKE A HUMAN
16 BEING HEART, I SAID I'M GOING TO AS PROOF OF
17 PRINCIPLE PUT IT INTO A NONHUMAN ANIMAL BEFORE IT
18 GOES INTO A HUMAN, TECHNICALLY -- AND THEY'RE
19 DEIDENTIFIED CELLS, I'M NOT SURE THAT I NEED TO TALK
20 TO ANYBODY OTHER THAN THE IACUC PEOPLE ABOUT THE
21 WELFARE OF ANIMALS ABOUT THAT. I'M NOT SAYING THAT
22 THE SCRO IS THE BEST OR THE ONLY BODY TO VIEW THAT,
23 BUT I JUST THINK THAT, BOY, WHEN THAT HITS THE
24 PAPERS, THERE'S GOING TO BE SOME DISCUSSION. I
25 THINK IT'S A MOVING TARGET. I THINK WE HAVE DONE

BARRISTERS' REPORTING SERVICE

1 WELL. I THINK PART OF IT IS BECAUSE GEOFF HAS DONE
2 A TERRIFIC JOB IN EDUCATING PEOPLE, TALKING WITH
3 THEM. BUT I THINK AS THE SCIENCE NOW IS TAKING REAL
4 LEAPS TOWARDS MOVING INTO THE CLINICAL ARENA, WE
5 HAVE TO MAKE SURE THAT WE'RE TRYING TO STAY ABREAST.

6 AGAIN, WE DON'T HAVE TO DO IT ALL TODAY,
7 BUT I THINK WHAT WE MAY WANT TO DO IS SORT OF RAISE
8 SOME ISSUES AND SAY THESE ARE THINGS THAT WE NEED
9 MORE COMMENT, DELIBERATION, AND PUBLIC FEEDBACK ON.

10 DR. LOMAX: I WANT TO BE A LITTLE BIT
11 CAREFUL, THOUGH, TO SAY WE DO NEED SOME DIRECTION
12 FROM THE WORKING GROUP IN TERMS OF THESE THINGS,
13 WHERE WE SHOULD BE PURSUING.

14 CHAIRMAN LO: JEFF AND THEN WE TRY AND
15 MOVE ON.

16 DR. BOTKIN: I GUESS AS I PUT ON MY
17 INSTITUTIONAL HAT, ONE OF THE THINGS THAT IS A
18 FAIRLY FREE-FLOATING ANXIETY IN THIS CONTEXT IS THE
19 FACT THAT THE GRANT AWARDS ARE GOING TO THE
20 INSTITUTION, BUT YET SOME OF THIS -- THE LACK OF
21 NOTIFICATION MIGHT THEN CUT THE INSTITUTIONAL CHECK
22 OUT OF THE NEGOTIATION BETWEEN THE INVESTIGATOR AND
23 THE FUNDER ABOUT EXACTLY WHAT RESEARCH IS GOING TO
24 BE CONDUCTED. SO I HAVE TO SAY THAT THERE'S A
25 CERTAIN AMOUNT OF ANXIETY THERE.

BARRISTERS' REPORTING SERVICE

1 AND AS AN INSTITUTIONAL OFFICIAL, CERTAIN
2 FIDUCIARY RESPONSIBILITY TO SORT OF KNOW WHAT
3 RESEARCH IS GOING ON EVEN IF THERE'S NOT AN ACTIVE
4 ROLE OR A SIGNIFICANT NEED FOR THE OVERSIGHT FOR
5 THAT.

6 SO I GUESS THE OTHER IMPRESSION I'M
7 GETTING FROM THE DISCUSSION HERE IS THAT THERE'S
8 SORT OF TWO LEVELS OF BURDEN FOR THE OVERSIGHT
9 PROCESS HERE. ONE IS FOR THE INVESTIGATORS, WHICH
10 IS A BURDEN, BUT PERHAPS -- A BIG BURDEN, BUT THEN A
11 SIGNIFICANT BURDEN FOR CIRM TO FIGURE OUT HAVE
12 PEOPLE BEEN COMPLIANT. SO I GUESS I'D BE INTERESTED
13 IN, AS NICOLE WAS SUGGESTING, SOME CREATIVE
14 ADDITIONAL THOUGHT ABOUT ARE THERE WAYS TO REDUCE
15 THE BURDEN TO CIRM FOR DETERMINING COMPLIANCE WITH
16 THE NOTIFICATION AS AN ALTERNATIVE TO ELIMINATING
17 THE NOTIFICATION PIECE.

18 DR. LOMAX: THAT MESSAGE HAS COME THROUGH.
19 I WOULD ENCOURAGE YOU AT THIS POINT, ONE OTHER THING
20 THAT I'VE BEEN HEARING SORT OF THE VALUE OF
21 NOTIFICATION, I STILL HAVE A QUESTION I HAVEN'T
22 QUITE GOT THE LEAD I COULD USE ON IS, AGAIN, COULD
23 NOTIFICATION INCLUDE THE RESPONSIBLE OFFICIAL?
24 SOMETIMES THERE'S SORT OF THE CONVERSATION GOES
25 TOWARDS WE REALLY THINK THE OVERSIGHT COMMITTEE IS

BARRISTERS' REPORTING SERVICE

1 THE PLACE FOR IT TO BE, OR ARE WE COMFORTABLE IN
2 CERTAIN CIRCUMSTANCES DEFERRING TO SOMEONE AT THE
3 INSTITUTIONAL LEVEL WHO'S INTIMATE WITH OUR
4 REQUIREMENTS, AND THE NOTIFICATION GOES TO THAT
5 INDIVIDUAL AS OPPOSED TO A COMMITTEE. SO THAT'S
6 STILL AN OUTSTANDING QUESTION I DON'T FEEL I HAVE
7 THE DIRECTION THAT I COULD USE FROM THIS COMMITTEE.

8 CHAIRMAN LO: SO WE'VE DUG OURSELVES INTO
9 A MUCH MORE COMPLICATED PLACE THAN WE PERHAPS
10 THOUGHT WE WERE GOING TO BE.

11 GEOFF, CAN I ASK A QUESTION ABOUT LUNCH?
12 WE PROBABLY DO NEED A BREAK. BUT I'M NOT SURE YOU
13 GOT THROUGH ALL THE TABLE. WE'VE BEEN SORT OF
14 JUMPING TO ISSUES, AND WE SORT OF STARTED TALKING
15 ABOUT DEIDENTIFIED. DID WE REALLY GET THROUGH THE
16 LAST THREE LINES OF THIS CHART IN TERMS OF YOUR
17 LAYING OUT THE THINKING BEHIND THE PROPOSED NEW
18 REVISIONS? I'M NOT SURE WE DID.

19 DR. LOMAX: I DIDN'T EXPLICITLY GET
20 THROUGH IT. I HEARD ELEMENTS OF IT COME INTO THE
21 CONVERSATION. I'M HAPPY TO DO THAT IF IT IS OF
22 VALUE. I DID HEAR AS SORT OF -- THE CONVERSATION
23 WAS SORT OF MOVING BETWEEN THESE CATEGORIES QUITE
24 FLUIDLY. I HAD A SENSE THAT PEOPLE WERE CLEAR ON
25 THE DISTINCTIONS.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: I'M LOOKING AT YOUR LAST
2 SLIDE, WHICH IS F, I GUESS, WHICH DOES START TO
3 ADDRESS -- I'M SORRY. YOU WANTED TO COME BACK TO
4 THAT SEPARATELY. SO LET'S DO D FIRST. SO MY
5 SUGGESTION, IF THERE IS LUNCH THERE -- AND I DON'T
6 KNOW IF THERE IS, COULD SOMEONE PEEK? -- I WOULD
7 SUGGEST WE'VE SORT OF GOTTEN IN SOME REALLY HEAVY
8 ISSUES HERE. I SUGGEST WE MAYBE TAKE A BREAK FOR
9 LUNCH, SORT OF STEP BACK FROM THIS A MINUTE, LET OUR
10 UNCONSCIOUS GENIUS INSIDE US SORT OF SORT THIS ALL
11 OUT, COME BACK TO THIS AFTER A QUICK LUNCH TO SORT
12 OF FOLLOW UP ON THIS WITH A VIEW TO, I GUESS, TWO
13 THINGS.

14 ONE IS I THINK WE NEED SOME GUIDANCE FOR
15 GEOFF AND SCOTT AND THE CIRM LEADERSHIP AS TO WHAT
16 OUR VIEW IS OF THE PROPOSALS THEY SUGGESTED, I
17 THINK. WE ALSO HAVE SOME QUESTIONS ABOUT ISSUES
18 THAT WE WOULD LIKE TO SEE SOME MORE DELIBERATION AND
19 FEEDBACK ON. IS THAT A FAIR SUMMARY OF WHERE WE
20 NEED TO GET TO AT THE END OF THIS DELIBERATION? YOU
21 REALLY DO WANT TO GO THROUGH THAT CHART AND TO SORT
22 OF GIVE YOU SOME IDEA, NOT A VOTE BECAUSE WE DON'T
23 HAVE A QUORUM, BUT A SENSE OF THE COMMITTEE ON HOW
24 WE'RE THINKING ABOUT THAT.

25 DR. FEIGAL: THE ANSWER IS, YES, WE DO

BARRISTERS' REPORTING SERVICE

1 WANT THAT.

2 CHAIRMAN LO: WE HAVE TO --

3 MR. TOCHER: THE ONLY THING I WOULD JUST
4 ADD TO THAT IS TO JUST EMPHASIZE AGAIN THAT I DON'T
5 MEAN -- I DON'T THINK BERNIE MEANS TO SEQUESTER YOU
6 AWAY IN A ROOM.

7 CHAIRMAN LO: IT'S GOING TO BE HERE.

8 MR. TOCHER: IN TERMS OF A FINAL
9 RECOMMENDATION, IT MAY WELL BE THAT IN YOUR
10 CONSIDERED JUDGMENT, YOU'RE NOT READY TO MAKE A FIRM
11 RECOMMENDATION ON SOMETHING ONE WAY OR THE OTHER.
12 AND THAT COULD BE INCORPORATED INTO THE REPORT BACK
13 TO THE ICOC, THAT THIS IS AN ISSUE THAT WARRANTS
14 FURTHER CONSIDERATION AND INPUT THAT YOU'VE
15 IDENTIFIED, EVEN ADDITIONAL AREAS THAT YOU WOULD
16 LIKE TO SEE MORE DATA ON, OR MAYBE SOME DIFFERENT
17 PROPOSALS AND INPUT ON, AND THAT YOU PROPOSE NOT
18 COMING TO A FINAL JUDGMENT ON THAT, BUT BEGINNING
19 THE PROCESS OF GETTING THAT INPUT TO BRING BACK TO
20 COME TO A FINAL RECOMMENDATION.

21 CHAIRMAN LO: WE CAN ALWAYS SCHEDULE
22 ADDITIONAL EITHER TELEPHONE MEETINGS THAT WOULD BE
23 OPEN TO THE PUBLIC OR, IF NECESSARY, A FACE-TO-FACE.
24 WE NEED TO KIND OF MOVE AHEAD WITH THE IDEA OF
25 GIVING GUIDANCE ON THIS GRAY AND BLACK AND WHITE

BARRISTERS' REPORTING SERVICE

1 TABLE THAT GEOFF BROUGHT UP. OKAY. IS THAT OKAY?
2 SO WE'LL BRIEFLY ADJOURN TO THE FOOD THING THERE.

3 (A RECESS WAS TAKEN.)

4 CHAIRMAN LO: I'D LIKE TO RECONVENE.
5 HOPEFULLY YOU ALL HAD A CHANCE TO GET YOUR PLATES
6 FULL, BUT I WOULD LIKE TO SORT OF RESUME BECAUSE
7 ACTUALLY WE HAD A VERY RICH AND THOUGHTFUL
8 DISCUSSION. SO WHAT I WOULD LIKE TO DO IS, FIRST,
9 ASK ELLEN TO MAKE SOME COMMENTS. I WAS GOING TO
10 SUGGEST SORT OF WHAT I THOUGHT THE GROUP WAS SAYING
11 WITH REGARD TO THE DIFFERENT RECOMMENDATIONS FOR
12 REVISIONS THAT GEOFF HAD PROPOSED AND SEE IF WE CAN
13 AT LEAST GIVE A SENSE OF THE COMMITTEE TO THE ICOC.

14 DR. FEIGAL: I JUST WANT TO MAYBE PROVIDE
15 MORE OF A SUMMARY OF WHAT GEOFF HAS BEEN PRESENTING.
16 I THINK IF YOU LOOK AT HUMAN EMBRYONIC STEM CELL
17 DERIVATION AND USE OF DEIDENTIFIED HUMAN EMBRYONIC
18 STEM CELLS, WE HAVEN'T CHANGED ANYTHING. THERE'S
19 STILL A SCRO REVIEW CURRENTLY AND WE PROPOSE A
20 CONTINUED SCRO REVIEW.

21 FOR DEIDENTIFIED HUMAN EMBRYONIC STEM
22 CELLS, WE'RE STILL REQUIRING NOTIFICATION OF SCRO OR
23 THE INSTITUTIONAL OFFICIAL. WE DO THAT CURRENTLY.
24 WE ARE PROPOSING THAT STAYS THE SAME.

25 FOR THE IPS DERIVATION AND IDENTIFIABLE

BARRISTERS' REPORTING SERVICE

1 AND USE OF IDENTIFIABLE AND USE OF DEIDENTIFIABLE,
2 WE ARE ADDING THE OPTION OF IN ADDITION TO
3 NOTIFICATION OF SCRO -- AS GEOFF MENTIONED, SOME
4 INSTITUTIONS DON'T HAVE SEPARATE SCRO'S -- SO WE
5 WANT TO ALLOW AN INSTITUTIONAL OFFICIAL TO BE
6 NOTIFIED AS AN OPTION. SO IT'S REALLY A PRAGMATIC
7 CHANGE AND WHY WE'RE OFFERING THAT ALTERNATIVE.

8 AND THEN THE OTHER ISSUE THAT WE'RE
9 TALKING ABOUT REALLY IS IN THE USE OF DEIDENTIFIED
10 OR THE USE OF -- BASICALLY DEIDENTIFIED IPS-DERIVED
11 SOMATIC CELLS OR DEIDENTIFIED IPS. CURRENTLY IT'S
12 NOTIFICATION OF SCRO OR INSTITUTIONAL OFFICIAL, AND
13 WE'RE SUGGESTING IN THOSE TWO INSTANCES IT GOES TO
14 NO NOTIFICATION.

15 THE REASON WHY WHAT WE'D LIKE YOU TO DO IS
16 IF YOU THINK THERE'S A NEED TO NOTIFY THE SCRO OR AN
17 INSTITUTIONAL OFFICIAL, COULD YOU PROVIDE SOME
18 RATIONALE ON WHY THAT'S IMPORTANT AND WHAT YOU THINK
19 IT WOULD ACHIEVE BY HAVING THAT NOTIFICATION? SO
20 WHAT WOULD BE VERY HELPFUL TO US, ONE, I WANTED TO
21 POINT OUT TO CLARIFY WHAT IT IS THAT'S DIFFERENT IN
22 THE PROPOSED VERSUS THE CURRENT AND WHY WE'RE
23 SUGGESTING IT. AND ALSO WE WANT TO CLARIFY, IF WE
24 ARE SUGGESTING IT, IT SHOULD BE BASED ON SOME
25 RATIONALE OF THE STEMNESS. IT SHOULD NOT JUST BE

BARRISTERS' REPORTING SERVICE

1 BECAUSE IT'S UNCOMFORTABLE OR YOU HAVE SOME VAGUE
2 CONCERNS ABOUT IT. IT SHOULD BE BASED ON IS THERE
3 SOMETHING SPECIFIC ABOUT THE TYPE OF CELL THAT'S
4 BEING UTILIZED THAT WOULD MAKE A DIFFERENCE IN TERMS
5 OF WHETHER OR NOT IT REQUIRED NOTIFICATION.

6 SO MAYBE, BERNIE, IF THE CONVERSATION
7 COULD FOCUS ON THOSE SPECIFIC POINTS, EVEN WITHOUT
8 GAINING CONSENSUS, IT WOULD BE HELPFUL TO HEAR WHAT
9 THE SPECIFIC REASONS ARE OF WHY NOTIFICATION IS
10 REQUIRED, WHAT YOU'D WANT THOSE OFFICIALS OR THE
11 SCRO TO ACTUALLY DO WITH THAT INFORMATION, AND
12 REALLY LET'S NOT TALK ABOUT CAPACITY OF CIRM TO
13 CHECK FOR COMPLIANCE. THAT'S SOMETHING WE CAN DO.
14 I'D RATHER HAVE IT FOCUSED ON WHY ARE WE ASKING FOR
15 IT TO BE DONE.

16 DR. WAGNER: SO MAYBE IT WOULD BE HELPFUL
17 IF I WALK YOU THROUGH AN EXAMPLE OF HOW THIS HAS
18 BECOME A PROBLEM IN TERMS OF DEIDENTIFICATION. AND
19 IT DOESN'T APPLY TO ALL IPS CELLS. IT APPLIES
20 SPECIFICALLY TO IPS CELLS THAT ARE DERIVED FROM
21 PATIENTS WITH GENETIC DISEASES.

22 SO IN CONTRAST TO, FOR EXAMPLE, AN ES CELL
23 LINE FOR WHICH WE DON'T HAVE ANY A PRIORI KNOWLEDGE
24 OF A GENETIC DISEASE, FOR EXAMPLE, WHERE WE LOOK AT
25 IDENTITY PERHAPS AS MEASURED BY POLYMORPHISMS TO SAY

BARRISTERS' REPORTING SERVICE

1 THIS IS THE CELL LINE FOR WHICH WE MADE.

2 IN ANY EVENT, IN CONTRAST, AT LEAST THE
3 PATIENT POPULATIONS THAT I TAKE CARE OF, WHETHER IT
4 BE FANCONI ANEMIA, SICKLE CELL DISEASE,
5 EPIDERMOLYSIS BULLOSA, A VARIETY OF GENETIC
6 DISEASES, PATIENTS THESE DAYS ARE GIVEN A COPY OF
7 THEIR SPECIFIC MUTATION. THE PATIENTS CAN ACTUALLY
8 RECITE TO YOU WHERE THE MUTATION IS IN WHICH EXON OF
9 THE GENE THAT WE'RE TALKING ABOUT.

10 AND SO AS PART OF THAT CELL LINE, THAT
11 WOULD BE PART OF WHAT IS GIVEN OUT TO THE PUBLIC.
12 I'M MAKING THIS CELL LINE FROM A PATIENT WITH
13 FANCONI ANEMIA WITH THIS SPECIFIC MUTATION. THAT IS
14 NOW PUBLIC KNOWLEDGE. IT IS SOMETHING NOW THAT THE
15 PATIENT CAN IDENTIFY AS MINE BECAUSE THE CHANCE OF
16 SOMEONE ELSE HAVING THAT EXACT SAME MUTATION,
17 ALTHOUGH NOT ZERO, IT'S VERY UNLIKELY.

18 SO, THEREFORE, WHAT IS DEIDENTIFIED HAS A
19 DIFFERENT MEANING BECAUSE EVEN THOUGH I MAY PUT A
20 DIFFERENT UPN NUMBER AS A METHOD OF
21 DEIDENTIFICATION, IT'S SOMETHING THAT IS STILL
22 IDENTIFIABLE BY THE PATIENT HIM OR HERSELF IN THE
23 FUTURE. SO, FOR EXAMPLE, BECAUSE I'M NOW DEVELOPING
24 AN IPS CELL LINE THAT HAS MULTIPOTENTIAL,
25 PLURIPOTENTIAL POTENTIAL, IF I DO MAKE A HEART, IF I

BARRISTERS' REPORTING SERVICE

1 DO MAKE GAMETES, IF I DO MAKE ANYTHING THAT
2 POTENTIALLY HAS COMMERCIAL VALUE, EVEN THOUGH THEY
3 MAY HAVE TOLD THAT COULD HAVE HAPPENED BEFORE, THE
4 FACT IS THAT IT'S NO LONGER DEIDENTIFIABLE. IT IS
5 NOW MINE. ESPECIALLY IF WE, FOR EXAMPLE, CIRM OR
6 NIH OR ANYBODY ELSE HAS NOW PUT SUFFICIENT RESOURCES
7 INTO A CELL LINE BECAUSE IT HAS SOME VALUE, I THINK
8 WE NEED TO THINK ABOUT -- WE JUST WANT TO MAKE SURE
9 THAT WE DO EVERYTHING WE CAN TO VERIFY THAT WHEN
10 SOMEONE SAYS IT'S DEIDENTIFIED AND THAT SOMEONE SAYS
11 HOW THESE CELLS ARE GOING TO BE USED NOW AND WHAT
12 NEW THINGS COULD DEVELOP IN THE FUTURE, WE JUST HAVE
13 TO BE AWARE THAT THE MEANING OF DEIDENTIFIED IN THIS
14 PARTICULAR CONTEXT MAY NOT ALWAYS BE DEIDENTIFIED.

15 THAT'S THE ONLY POINT I WANT TO MAKE.
16 IT'S SOMETHING I DIDN'T REALLY REALIZE AND
17 APPRECIATE.

18 AND THE OTHER THING IS IN CONTRAST TO ES
19 CELL LINES, I THINK, IS THAT ES CELL LINES, THE
20 EMBRYO COMES FROM AN IVF CENTER, WHICH IS SEPARATE,
21 I THINK, THE MAJORITY OF CASES, FROM THE PERSON
22 DOING THE ES DERIVATION. IN CONTRAST, THE PERSON
23 WHO TAKES CARE OF THESE GENETIC DISEASES IS THE ONE
24 MAKING THIS IPS DERIVATION, I WOULD SAY, PROBABLY IN
25 AT LEAST MANY CASES. SO, THEREFORE, I HAVE NOW

BARRISTERS' REPORTING SERVICE

1 TAKEN GREAT LENGTHS TO NOW SEPARATE THE INVESTIGATOR
2 FROM THE DERIVATION. BUT EVEN SO, IT'S STILL
3 DIFFICULT BECAUSE THEY KNOW THAT IT'S AN FA PATIENT
4 OR THEY KNOW IT'S SKIN FROM A PATIENT. THEY KNOW
5 WHEN THAT SKIN WAS OBTAINED. IT'S JUST STILL VERY
6 DIFFICULT EVEN THOUGH I'M NOW PUTTING MYSELF AS AN
7 IN BETWEEN.

8 JUST SO YOU KNOW. I'M NOT SAYING THAT'S
9 TRUE FOR EVERYONE, BUT IT IS SOMETHING THAT I THINK
10 THAT THE ESCRO OR SOMEONE JUST NEEDS TO BE AWARE OF,
11 THAT I CAN CHECK OFF A BOX AND SAY IT'S DEIDENTIFIED
12 BECAUSE I GAVE IT A UPN NUMBER. THAT'S WHAT WE
13 TYPICALLY WOULD DO IF I'M STORING CORD BLOOD. I CAN
14 SAY IT'S TRULY DEIDENTIFIED, BUT IN THIS CASE I'M
15 AFRAID THAT IT'S NOT ALWAYS DEIDENTIFIED.

16 DR. FEIGAL: MY INTERPRETATION OF WHAT YOU
17 ARE SAYING, THEN, IS THAT OUR USE OF THE TERM
18 "DEIDENTIFIED" FOR IPS CELLS FROM INDIVIDUALS WITH
19 GENETIC DISEASES IS QUESTIONABLE.

20 DR. WAGNER: THAT'S RIGHT.

21 DR. FEIGAL: THAT'S WHY YOU WANT TO TURN
22 IT BACK INTO MORE OF AN IDENTIFIABLE CATEGORY.
23 RIGHT NOW WHAT WE HAVE, EVEN FOR IDENTIFIABLE, IS NO
24 NOTIFICATION OR AN INSTITUTIONAL OFFICIAL AS AN
25 OPTION. YOU WANTED IT AS A MINIMUM THAT OPTION FOR

BARRISTERS' REPORTING SERVICE

1 THE GENETICALLY DEFINED DISEASES.

2 DR. WAGNER: THAT'S RIGHT. SO I WOULD
3 MAKE IT -- THAT'S INDEED CORRECT. HOWEVER, AS YOU
4 KNOW, I AM ALSO SUGGESTING THAT FOR IDENTIFIABLE, IT
5 SHOULD BE MORE LIKE AT THE TOP LEVEL LIKE THE ES
6 DERIVATION.

7 DR. FEIGAL: OKAY. THAT'S HELPFUL TO HEAR
8 THAT.

9 CHAIRMAN LO: LET ME TRY TO HELP ORGANIZE
10 THIS. I WANT TO START BY PROVIDING A LITTLE BIT OF
11 BACKGROUND, ONE, TO PICK UP ON WHAT YOU WERE JUST
12 SAYING WITH REGARD TO IDENTIFIABLE. SO PAT ISN'T
13 HERE, BUT, GEOFF, YOU KEEP ME ON THE STRAIGHT AND
14 NARROW HERE.

15 SO IDENTIFIABLE HAS A SPECIFIC MEANING IN
16 THE FEDERAL REGULATIONS, 45 CFR 486, THE COMMON
17 RULE, AND THAT CANNOT BE READILY ASCERTAINED BY THE
18 INVESTIGATOR, THE RESEARCHER. AND OHRP HAS
19 INTERPRETED THAT IN CERTAIN REGULATORY WAYS TO SAY
20 THAT IF YOU'VE STRIPPED OFF -- IF YOU HAVE SOMETHING
21 TO WHICH YOU'VE ATTACHED A CODE AND YOU HAVE CERTAIN
22 ARRANGEMENTS, EVEN IF SOMEONE ELSE KEEPS THE CODE,
23 THE PERSON DOING THE RESEARCH DOESN'T KNOW THE CODE,
24 THAT'S DEIDENTIFIED FOR REGULATORY PURPOSES UNDER
25 THE COMMON RULE.

BARRISTERS' REPORTING SERVICE

1 NOW, YOU BRING UP TWO VERY INTERESTING
2 POINTS. FIRST, THAT IF YOU'RE THE CLINICIAN WHO
3 TOOK CARE OF THE PATIENT FROM WHOM THE CELLS WERE
4 DERIVED AND HAVE AN ONGOING INTEREST IN THE
5 RESEARCH, EVEN TO THE POINT OF DERIVING THE IPS CELL
6 LINE, YOU CAN STRIP OFF ALL THE HIPAA IDENTIFIERS
7 YOU WANT, BUT YOU WILL KNOW WHO THAT -- YOU WILL BE
8 ABLE WALK BACK. PROBABLY SOME OF YOUR STAFF, THE
9 NURSES WHO TOOK CARE OF IT. THAT'S ONE COMPLICATION
10 I THINK YOU NICELY POINTED OUT. AND ELLEN SUGGESTED
11 WE COULD FIX THAT BY SAYING, WELL, WE'RE SORT OF
12 GOING TO CHANGE THE DEFINITION OF IT.

13 YOU ALSO RAISED ANOTHER POINT, WHICH IS
14 HOW ABOUT IF IT'S IDENTIFIABLE TO THE PERSON WHOSE
15 CELLS WERE USED? THEY MAY KNOW, EVEN IF THE
16 RESEARCHER DOESN'T, AND DOES THAT HAVE REGULATORY OR
17 ETHICAL SIGNIFICANCE WHICH ISN'T CAPTURED IN THE
18 CURRENT COMMON RULE? SO THAT'S A WHOLE NEW SET OF
19 ISSUES.

20 DR. WAGNER: AND IT'S TRUE. THE THING IS
21 THAT THERE'S NO ONE MORE INTERESTED IN THIS RESEARCH
22 THAN THESE PATIENTS WITH THESE DISEASES BECAUSE
23 THEY'RE HOPING, BY GIVING THEIR SAMPLE OF SKIN OR
24 WHATEVER THE TISSUE IS, THAT IT WILL RESULT IN A
25 THERAPY WHICH WILL HELP THEIR CHILD OR THEM. SO

BARRISTERS' REPORTING SERVICE

1 THEY'RE PAYING VERY CLOSE ATTENTION. AND I HAVE
2 PEOPLE ALREADY GUESSING. WHEN THEY SEE REPORTS
3 COMING OUT OF --

4 CHAIRMAN LO: THIS IS MY CELLS.

5 DR. WAGNER: THESE ARE MY CELLS THAT
6 YOU'RE REPORTING ON IN *BLOOD* OR IN *STEM CELLS* OR
7 WHATEVER THE PROJECT IS. SO THEY'RE ALREADY DOING
8 IT. AND SO I DIDN'T APPRECIATE THAT WHEN I FIRST
9 GOT INVOLVED IN THIS. I JUST TREATED IT LIKE
10 EVERYONE ELSE AND SO DID THE IRB. ALL THESE STUDIES
11 HAVE BEEN DONE WITH IRB APPROVAL AS BEING
12 DEIDENTIFIED, BUT THEY WEREN'T.

13 CHAIRMAN LO: SO THOSE ARE SORT OF
14 FOOTNOTES TO IDENTIFIABLE IN ALL THIS.

15 SECONDLY, I WANT TO POINT OUT, WHICH GEOFF
16 REALLY FOCUSED ME IN ON, IS ONE OF THE SENSITIVE
17 USES OF STEM CELLS HAS TO DO WITH THE CREATION OF
18 HUMAN GAMETES. AND HE REMINDED ME THAT IF YOU LOOK
19 AT 100070(A), IT'S CIRM-FUNDED RESEARCH, BLAH, BLAH,
20 BLAH, INVOLVING THE CREATION OF HUMAN GAMETES MAY
21 NOT COMMENCE WITHOUT SCRO COMMITTEE REVIEW AND
22 APPROVAL IN WRITING. SO WE SORT OF TUCKED THAT INTO
23 ONE OF THE PROVISIONS WHICH SAYS YOU REALLY HAVE TO
24 GET REVIEW AND APPROVAL IN WRITING TO DO ANY WORK
25 DEALING WITH DERIVATION OF -- CREATION OF HUMAN

BARRISTERS' REPORTING SERVICE

1 GAMETES.

2 AND, IN FACT, THE WAY I READ THIS, GEOFF,
3 IT DOESN'T MENTION IDENTIFIED OR DEIDENTIFIED. SO
4 THAT ONE SORT OF PARADIGMATIC CASE OF SOMETHING
5 SENSITIVE WE'VE SORT OF SINGLED OUT ALREADY IN THE
6 REGULATIONS. IT'S JUST YOU HAVE TO BE AS SMART AS
7 GEOFF TO KNOW WHERE TO LOOK.

8 DR. LOMAX: THAT WAS, AGAIN, TWO YEARS
9 AGO. THAT WAS DIRECTLY OUT OF THESE DELIBERATIONS,
10 AND WE IMPLEMENTED IT IN A WAY THAT WAS INDEPENDENT
11 OF THIS. IT'S AN EXAMPLE, AGAIN, OF A USE CRITERIA
12 IN TERMS OF THE REGULATIONS.

13 CHAIRMAN LO: I'M GOING TO SUGGEST --
14 GEOFF, I THINK THIS WAS VERY -- THIS C AND D IS
15 USEFUL. I'M GOING TO TRY AND WALK US THROUGH THE
16 DIFFERENT LINES. THE TOP LINE, HESC DERIVATION,
17 WE'RE NOT PROPOSING ANY CHANGES, AND I HAVEN'T HEARD
18 ANYBODY SAY THAT WE HAVE CONCERNS.

19 LET'S TALK ABOUT DERIVATION FIRST AND THEN
20 THE USES. SO IN TERMS OF THE SECOND LINE,
21 DERIVATION WITH IDENTIFIABLE SOMATIC CELLS, I WANT
22 TO REMIND US THAT CIRM IS ISSUING GUIDANCE, SORT OF
23 SUGGESTIONS, BEST PRACTICES, IF YOU WILL, ON HOW TO
24 OBTAIN INFORMED CONSENT FROM PEOPLE DONATING SOMATIC
25 CELLS FOR STEM CELL DERIVATION. SO ON THE SORT OF

BARRISTERS' REPORTING SERVICE

1 EDUCATIONAL BEST PRACTICE FRONT, WE'RE GOING TO WORK
2 ON IT FROM THAT ANGLE.

3 WHAT IS BEING PROPOSED IS THAT WE NOT
4 WEAKEN, WE NOT REMOVE THE NOTIFICATION OF THE SCRO.
5 IF IT INVOLVES HUMAN SUBJECTS RESEARCH, IT WILL
6 STILL REQUIRE IRB APPROVAL OF THE CONSENT PROCESS.
7 BUT, AS ELLEN SAID, FOR THOSE INSTITUTIONS THAT
8 ACTUALLY DON'T HAVE A SCRO, BUT WANT TO DERIVE IPSC
9 LINES FROM IDENTIFIABLE MATERIALS, WE'RE GOING TO
10 OFFER THE OPTION OF NOTIFYING THE RESPONSIBLE
11 INSTITUTIONAL OFFICIAL.

12 AND I GUESS JEFF -- I'M TRYING TO REMEMBER
13 THIS RIGHT -- BROUGHT UP THE QUESTION, AND NICOLE.
14 JUST LEAVE IT AT THAT. LET'S TALK ABOUT THAT FIRST
15 WITH IDENTIFIABLE SOMATIC CELL DERIVATION WITH
16 IDENTIFIABLE SOMATIC CELLS. HOW DO WE FEEL ABOUT
17 THAT SUGGESTION WITH GEOFF'S CAVEAT -- WELL, NO,
18 THESE ARE IDENTIFIABLE. AND THEN WE'RE SAYING IT
19 MAY BE A LITTLE BROADER THAN THE NIH DEFINITION OF
20 IDENTIFIABLE.

21 THOUGHTS ON THIS? DO WE HAVE CONCERNS
22 ABOUT ALLOWING THE RESPONSIBLE INSTITUTIONAL
23 OFFICIAL NOTIFICATION OPTION?

24 DR. ROBERT TAYLOR: BERNIE, I'M JUST
25 TRYING TO THINK OF WHAT THE SCENARIO WOULD BE HERE.

BARRISTERS' REPORTING SERVICE

1 SO LET'S SAY THIS WOULD BE POTENTIALLY A SMALL
2 OPERATION USING WESTERN IRB TO OBTAIN A SKIN BIOPSY
3 FROM SOMEONE THEY WANT TO MAKE AN IPS CELL OUT OF.
4 IS THAT THE RIGHT SCENARIO? I'M SORT OF WONDERING
5 WHO'S NOT GOING TO HAVE A FUNCTIONAL SCRO THAT WE'RE
6 CREATING THIS EXEMPTION FOR.

7 CHAIRMAN LO: ELLEN'S EXAMPLE WAS, YOU
8 KNOW THIS BETTER THAN I, SMALL RESEARCH INSTITUTION.

9 DR. SCHUELE: I'M DR. BIRGITT SCHUELE FROM
10 THE PARKINSON'S INSTITUTE IN SUNNYVALE. SO WE'RE A
11 LITTLE SOUTH OF SAN FRANCISCO ACROSS MOFFET FIELD.
12 SO WE'RE USING OUTSIDE IRB'S LIKE THE EL CAMINO
13 HOSPITAL OR WIRB TO GET IRB APPROVAL FOR OUR
14 STUDIES. AND THEN FOR THE EARLY TRANSLATIONAL GRANT
15 THAT WE GOT THROUGH CIRM, WE HAD INITIALLY SCRO
16 APPROVAL THROUGH THE SERVICE THAT WAS AVAILABLE TILL
17 END OF LAST YEAR.

18 SO NOW WE ARE KIND OF IN LIMBO AND WE
19 DON'T REALLY KNOW. SO WE HAD -- ARE WE STILL HAVING
20 A GRANT WHERE WE'RE ALLOWED TO DERIVE IPS CELLS FROM
21 HUMAN SKIN CELLS? THEY HAVE BEEN ALL DERIVED NOW,
22 AND NOW WE'RE DOING IN VITRO RESEARCH ON THEM. NO
23 TRANSPLANTATION INTO ANIMALS AT THIS POINT. SO NOW
24 THE QUESTION FOR US IS HOW DO WE HANDLE THIS? THE
25 LINES HAVE BEEN DERIVED AND NOW IN VITRO RESEARCH

BARRISTERS' REPORTING SERVICE

1 HAS BEEN DONE TO LOOK AT DISEASE MECHANISMS FOR
2 PARKINSON'S DISEASE.

3 DR. LOMAX: I MIGHT ADD FROM THE CIRM
4 PERSPECTIVE, WE'VE GONE OUT AND DONE A SITE VISIT.
5 WE'VE REVIEWED AND THEY HAVE BEEN VERY GENEROUS IN
6 PROVIDING US CONSENT DOCUMENTS THAT HELPED US
7 DEVELOP OUR OWN MODELS, AND WE WILL HAVE A
8 PRESENTATION LATER TODAY WHERE WE'VE ASKED THEM TO
9 REPORT BACK ON THAT EXPERIENCE JUST TO KIND OF GIVE
10 YOU A FEEL FOR HOW WE ENGAGE AND INVOLVE OURSELVES
11 IN THESE SITUATIONS AND TRY TO DEVELOP A SENSE TO
12 WHAT EXTENT THE INSTITUTION ITSELF HAS THE CAPACITY
13 TO OPERATIONALIZE THE TYPES OF THINGS THAT WE'RE
14 LOOKING FOR AS BOTH THE COMMITTEE AND CIRM.

15 DR. SCHUELE: I WANT TO ADD IN THIS CASE
16 THESE ARE IDENTIFIABLE SOMATIC CELLS, AND WE'RE
17 WORKING ON GENETIC FORMS OF PD. SO THE POINT THAT
18 WAS RAISED, THAT YOU CAN'T TRULY DEIDENTIFY THESE
19 INDIVIDUALS, THAT'S THE CASE HERE TOO. I WAS THE
20 STUDY DOCTOR TAKING THE SKIN BIOPSIES, AND THEN
21 STUDY CODES WERE ASSIGNED, BUT STILL THERE'S NO WAY
22 AROUND TO TRULY DEIDENTIFY SOME OF THOSE
23 INDIVIDUALS.

24 CHAIRMAN LO: ROB, IS THAT --

25 DR. ROBERT TAYLOR: THAT WAS SORT OF WHAT

BARRISTERS' REPORTING SERVICE

1 I WAS IMAGINING. THANK YOU.

2 CHAIRMAN LO: SO YOUR THOUGHTS ON THAT
3 SECOND LINE. DO WE, AS A SENSE OF THE COMMITTEE,
4 AGREE WITH THIS NEW PROPOSAL WITH ALL THE CAVEATS
5 ABOUT WHAT IS IDENTIFIABLE THAT WE'VE DISCUSSED?

6 DR. WAGNER: CAN I ASK JUST A QUESTION?
7 AND THAT IS THAT IF WE GO THAT ROUTE, CAN YOU TELL
8 ME WHAT THE DIFFERENCE IS OR CAN YOU TELL ME WHY WE
9 NEED AN ESCRO FOR HESC DERIVATION? I'M JUST ASKING
10 THE QUESTION. IF WE'RE STILL TALKING ABOUT
11 PLURIPOTENTIAL STEM CELLS, ONE BEING ES-DERIVED OR
12 EMBRYONIC, ONE BEING FROM ADULT TISSUES, WHAT IS IT
13 ABOUT THE ESC DERIVATION THAT REQUIRES ESCRO? AND
14 HOW IS THAT DIFFERENT FROM IPSC BECAUSE EVEN THOUGH,
15 OF COURSE, SOMEBODY WILL SAY THAT'S OBVIOUS, IT MAY
16 NOT BE ENTIRELY OBVIOUS THE REASONS BECAUSE IF WE
17 REQUIRE ESCRO FOR THAT, WE SHOULD MAKE SURE THAT
18 THERE'S NOTHING THAT IS -- IT'S AT LEAST CONSISTENT
19 BETWEEN THE TWO PLURIPOTENTIAL STEM CELL SOURCES.

20 DR. LOMAX: THE NATIONAL ACADEMIES
21 ARTICULATION OF THAT IS THAT THERE WOULD NEVER BE AN
22 IRB IN THAT CIRCUMSTANCE BECAUSE IT'S NOT A HUMAN
23 SUBJECT. SO IT'S THE NOTION THAT THERE'S SOME SORT
24 OF CHECKPOINT IN THE DERIVATION PROCESS.

25 DR. WAGNER: IS THAT THE ONLY REASON?

BARRISTERS' REPORTING SERVICE

1 DR. LOMAX: I WOULD HAVE TO GO BACK AND
2 LOOK AT THE NATIONAL ACADEMIES' REPORT, BUT IT WAS A
3 DRIVING FORCE IN TERMS OF THAT REQUIREMENT, AS I
4 RECALL.

5 CHAIRMAN LO: SO TO ADD ONTO THAT, I THINK
6 IT'S WHAT JEFF BOTKIN SAID EARLIER ABOUT
7 MISCLASSIFICATION BY INVESTIGATORS. SO THAT I THINK
8 THERE ARE STANDARDS FOR WHAT THE PROCUREMENT AND
9 CONSENT PROCESS NEEDS TO BE FOR STEM CELL DERIVATION
10 IN LIGHT OF THE SENSITIVITY OF EMBRYO RESEARCH. SO
11 EVEN THOUGH THEY'RE DEIDENTIFIED TO THE
12 INVESTIGATOR, THERE'S KIND OF A BACKTRACK TO MAKE
13 SURE THAT THE WOMAN OR COUPLE IN IVF WHOSE EMBRYOS
14 ARE BEING USED GAVE EXPRESS PERMISSION FOR RESEARCH,
15 AND STEM CELL RESEARCH IN PARTICULAR, SO THAT THAT
16 CHECK WOULD NOT NECESSARILY HAPPEN IF WHEN THEY
17 ARRIVE AT THE LAB WHERE THE DERIVATION IS TAKING
18 PLACE, THEY'VE ALREADY BEEN DEIDENTIFIED BECAUSE THE
19 IVF CLINIC GENERALLY HAS STRIPPED OFF THE
20 IDENTIFIERS AND GIVEN THE CODE NUMBER.

21 DR. WAGNER: AND THOSE TWO THINGS, THEY
22 CLEARLY DIFFERENTIATE BETWEEN ES VERSUS IPS, EXCEPT
23 THAT I THOUGHT YOU MIGHT GO ONE STEP FURTHER, WHICH
24 WAS GOING TO BE HOW THOSE CELLS MIGHT BE USED IN THE
25 CONTEXT OF CHIMERISM IN ANIMAL MODELS. AND ALTHOUGH

BARRISTERS' REPORTING SERVICE

1 WE MAY BE TALKING ABOUT IN VITRO WORK, ISN'T PART OF
2 THE DEFINITION OF AN IPS CELL THAT IT ACTUALLY IS
3 CAPABLE OF DIFFERENTIATING INTO THE THREE LINEAGES
4 IN AN ANIMAL MODEL? SO THERE'S SOME THINGS THAT WE
5 HAVE TO FIGURE OUT. AND AT LEAST IF THE TWO ARE
6 COMPLETELY SEPARABLE AND THE REASONS FOR WHY ONE
7 GETS ESCRO REVIEW AND THE OTHER DOESN'T, WELL, THEN
8 FINE.

9 I WAS JUST -- THE REASON WHY I WAS A BIT
10 QUESTIONING THAT EARLIER AND GOING BEYOND JUST
11 INFORMING AN INSTITUTIONAL OFFICIAL WAS BECAUSE I
12 COULD IMAGINE WHERE THERE'S OVERLAP BETWEEN THE TWO
13 FOR WHICH AN ESCRO MIGHT BE IMPORTANT.

14 CHAIRMAN LO: AGAIN, I THINK WHAT I HEARD
15 BEFORE LUNCH IS THE SENSE THAT LET'S SORT OF
16 SEPARATE OUT THE USES AND THE DERIVATION.

17 DR. WAGNER: I'M JUST TALKING ABOUT
18 DERIVATIONS.

19 CHAIRMAN LO: FOCUS ON THE DERIVATIONS.

20 SO WITH ALL THAT, ARE THERE ANY -- LET ME
21 FRAME IT THE OTHER WAY. ARE THERE ANY DEEP CONCERNS
22 OR OBJECTIONS TO THE PROPOSED STANDARD OF ALLOWING
23 THE INSTITUTIONAL OFFICIALS AN OPTION FOR THE
24 NOTIFICATION FOR DERIVATION OF IPSC'S FROM
25 IDENTIFIABLE SOMATIC CELLS? SO IS THE SENSE --

BARRISTERS' REPORTING SERVICE

1 DR. ROBERT TAYLOR: I JUST HAVE TO ADMIT
2 THAT IT SEEMS TO ME THAT KIND OF THE OVERSIGHT
3 THROUGH ONE MECHANISM VERSUS THE OTHER IS QUITE
4 DIFFERENT, AN INSTITUTIONAL OFFICIAL VERSUS A SCRO.
5 IT JUST STRIKES ME THAT THOSE AREN'T THE SAME TYPES
6 OF OVERSIGHT BODIES.

7 DR. LOMAX: CAN I JUST GIVE ONE RESPONSE
8 TO ROB? AGAIN, THIS IS JUST BASED ON THE EVIDENCE
9 WE HAVE IS THAT TYPICALLY IN A NOTIFICATION
10 SITUATION, THE INSTITUTION WILL GO TO WHOEVER IS
11 ADMINISTERING THE OVERSIGHT COMMITTEE, AND THEY'LL
12 TYPICALLY TAKE A LOOK AT IT, AND IN THEIR TRIAGE IT
13 WOULDN'T BE THIS COMMITTEE LOOKING AT IT. SO THIS
14 IS SUBSTANTIALLY SIMILAR. IT'S SOMEBODY WHO'S BEEN
15 DEPUTIZED BY THE INSTITUTION TO MAKE A
16 DETERMINATION. BECAUSE WE'VE NOT MOVED INTO THAT
17 THRESHOLD OF FULL REVIEW, THE CRITICAL THING AND THE
18 MOST IMPORTANT THING, SCRO OR NO SCRO, IS THAT THERE
19 IS INDIVIDUAL CAPACITY WITHIN THAT INSTITUTION TO
20 LOOK AT THAT, UNDERSTAND IT IN RELATION TO OUR
21 REGULATIONS, AND MAKE THE RIGHT DECISION.

22 AND, AGAIN, HAVING BEEN TO THE PARKINSON'S
23 INSTITUTE, MY PERSONAL VIEW IS I'M CONFIDENT IN THAT
24 ABILITY. NOW, THE IMPORTANT THING IS TO MAKE SURE,
25 WHEN IT'S BEING DONE, WE CONTINUE TO BE CHECKING.

BARRISTERS' REPORTING SERVICE

1 BUT I WOULD SAY THEY'RE SUBSTANTIALLY SIMILAR.
2 NOTIFICATION OF EITHER THE SCRO OR THE INSTITUTIONAL
3 OFFICIAL OPERATIONALLY IS A SUBSTANTIALLY SIMILAR
4 EXERCISE.

5 DR. PRIETO: GEOFF, QUESTION ON SOMETHING
6 YOU ALLUDED TO AND THE PARKINSON'S INSTITUTE SPEAKER
7 ALSO DID ABOUT WHAT HAPPENED TO THE ABILITY TO
8 CONTRACT OUT OR USE ANOTHER SCRO? MAYBE I SHOULD
9 KNOW THIS ALREADY AND MISSED SOMETHING.

10 DR. LOMAX: OUR REGULATIONS ALLOW THE USE
11 OF INDEPENDENT THIRD-PARTY SCRO'S OR COLLABORATIVE
12 SCRO'S. THEY DO NOT NEED TO BE CENTERED WITHIN THE
13 INSTITUTION OR GRANTEE INSTITUTION.

14 HOWEVER, AT THIS TIME WE ARE NOT AWARE OF
15 ANY CAPACITY OUTSIDE OF THE INSTITUTIONS THAT CAN BE
16 USED. THERE'S NO COMMITTEES, FOR EXAMPLE, OUT
17 THERE. THERE WAS A COMMITTEE THAT WAS DOING
18 CONTRACT SERVICES. WE EVALUATED THEM A NUMBER OF
19 TIMES. THEY WERE DOING OUTSTANDING WORK. THEY ARE
20 NO LONGER OFFERING THOSE SERVICES. SO WHAT WE'RE
21 FINDING IS THE ABILITY FOR INSTITUTIONS THAT DON'T
22 HAVE THE CAPACITY TO CREATE THEIR OWN COMMITTEES IS
23 EXTREMELY LIMITED UNLESS THEY CAN GET INTO A
24 COLLABORATIVE RELATIONSHIP WITH AN EXISTING SCRO,
25 AND THAT'S GETTING TO BE VERY DIFFICULT.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: WHICH ACTUALLY DOES HAPPEN.
2 SO SAN DIEGO HAS THAT, UCSF ACTS AS THE SCRO OF
3 RECORD FOR UC SANTA CRUZ. SO THOSE ARRANGEMENTS DO
4 EXIST, BUT THEY REQUIRE SOME SORT OF INSTITUTIONAL
5 PARTNERSHIP ARRANGEMENT.

6 DR. PRIETO: SO IT HAS NOT BECOME
7 IMPOSSIBLE. IT'S JUST BECOME MORE DIFFICULT BECAUSE
8 OF UNAVAILABILITY OF THIS PARTICULAR CONTRACT.

9 CHAIRMAN LO: THAT'S RIGHT. SO I'M NOT
10 HEARING ANY, GIVEN SORT OF THE FOOTNOTE CAVEATS
11 WE'VE RAISED, AND I GUESS THE OTHER THING I WOULD
12 PUT IN IS PROBABLY WE WOULD LIKE TO SEE SOME MORE
13 THINKING ABOUT DIRECT REPROGRAMMING AS OPPOSED TO
14 IPS, BUT WE THINK THIS AMENDMENT IS FINE.

15 NOW LET'S THEN SKIP --

16 DR. WAGNER: JUST WITH ONE CAVEAT. BASED
17 ON WHAT YOU SAID OR WHAT'S BEEN SAID IS THAT IN
18 REALITY, EVEN IF IT'S AN INSTITUTIONAL OFFICIAL,
19 THAT INSTITUTIONAL OFFICIAL WILL SEEK HELP
20 APPROPRIATELY. MAYBE THAT SHOULD BE DEFINED BECAUSE
21 THE THING IS OTHERWISE, IF YOU JUST SAY
22 INSTITUTIONAL OFFICIAL, YOU DON'T HAVE ANY IDEA
23 WHETHER OR NOT IT'S JUST THAT PERSON SAYING LOOKS
24 OKAY TO ME VERSUS ME UNDERSTANDING WHAT THE NUANCES
25 ARE OF THE STEM CELL RESEARCH IN 2013 VERSUS 2012.

BARRISTERS' REPORTING SERVICE

1 IN ANY EVENT, I'M JUST WONDERING IF THERE
2 SHOULD BE SOME STATEMENT THAT SAYS WHAT IS EXPECTED
3 IS A PROCESS -- WHAT THE PROCESS THAT A SCRO WOULD
4 DO IS NOW BEING ASKED OF THIS INDIVIDUAL.

5 CHAIRMAN LO: GEOFF'S NODDING AND HAS GOT
6 HIS FINGER ON THE PULSE HERE.

7 DR. LOMAX: IT'S NOT THE EXACT ANSWER
8 YOU'RE LOOKING FOR, BUT THE WAY WE'VE HANDLED THAT
9 IN A REGULATORY CONTEXT IS TO MAKE SOMEBODY REALLY
10 IMPORTANT RESPONSIBLE FOR THAT TO MAKE SURE WITH A
11 VIEW THAT IT'S DONE RIGHT. SO WE SAY THE DEFINITION
12 OF INSTITUTIONAL OFFICIAL IS A CHANCELLOR, CHIEF
13 EXECUTIVE, OR PERSON WITH PLENARY AUTHORITY WHO THEN
14 HAS THE POWER TO DESIGNATE AN INDIVIDUAL. AGAIN, I
15 DON'T KNOW IF THAT SATISFIES YOUR CONCERN, BUT THE
16 IDEA THAT IT'S COMING DOWN FROM SOMEBODY AT HIGH
17 LEVEL EXECUTIVE IN THE INSTITUTION.

18 CHAIRMAN LO: THE REGULATORY MOVE IS TO
19 SAY HOLD THE HIGH LEVEL OFFICIAL RESPONSIBLE. THEY
20 MAY DELEGATE IT; BUT IF IT'S A PROBLEM, THEY ANSWER
21 TO IT. IT'S KIND OF BEHIND PEOPLE HAVING TO SIGN --
22 CEO'S HAVING TO SIGN FINANCIAL STATEMENTS. YOU
23 DON'T DO THEM YOURSELF, BUT YOU BETTER BE REALLY
24 SURE THE PERSON YOU DELEGATE IT TO IS RIGOROUS.

25 DR. WAGNER: ALL I'M SUGGESTING IS THAT

BARRISTERS' REPORTING SERVICE

1 YOU SOMEWHERE IN SOME WAY DEFINE WHAT THAT MEANS.
2 WHEN YOU SIGN THIS, THAT THE EXPECTATION IS YOU'RE
3 DOING THIS, THIS, THIS, AND THIS SO THAT THE PERSON
4 KNOWS. I'M ASKED TO SIGN FORMS FOR OTHER FACULTY
5 ALL THE TIME, AND I'M NOT QUITE SURE WHY I'M SIGNING
6 IT.

7 CHAIRMAN LO: WHERE IS THE STICKER THAT
8 SAYS SIGN HERE. LET ME JUST SAY, FIRST OF ALL, TO
9 TRY AND PUT IT IN CONTEXT, THERE ARE THESE
10 GUIDELINES FOR CONSENT FOR DONATION TO DERIVE STEM
11 CELL LINES WHICH HOPEFULLY WILL BECOME A BEST
12 PRACTICE. AND I THINK THEN THERE ARE EDUCATIONAL
13 THINGS THAT HAPPEN WHEN GEOFF GOES AND DOES SITE
14 VISITS. SO I THINK IT'S A QUESTION OF HOW MUCH DO
15 WE WANT TO PUT IN A REGULATION AS TO SORT OF USING
16 EDUCATION AND GUIDANCE. BUT I THINK WE SHOULD --
17 THE TRANSCRIPT WILL REFLECT THERE WAS A CONCERN THAT
18 IS THE NOTIFICATION REALLY -- DO THE PEOPLE TO WHOM
19 NOTIFICATION IS GIVEN REALLY UNDERSTAND WHAT THEY'RE
20 BEING ASKED TO DO.

21 DR. ROBERTS: AT THIS POINT THE MENTION OF
22 THE IRB, THE IRB MUST APPROVE THE PROTOCOL, WOULD
23 THAT HAVE BEEN A PRIOR APPROVAL, PRIOR TO THIS POINT
24 WHERE THE INSTITUTIONAL OFFICIAL IS NOTIFIED? IN
25 OTHER WORDS, COULD THE IRB APPROVAL TAKE CARE OF

BARRISTERS' REPORTING SERVICE

1 SOME OF THESE CONCERNS ABOUT THE INSTITUTIONAL
2 OFFICIAL NOT UNDERSTANDING AND JUST...

3 DR. ROBERT TAYLOR: GIVEN THE SCENARIO,
4 THIS IS GOING TO BE A PLACE THAT ALSO DOESN'T HAVE
5 AN IRB.

6 DR. LOMAX: THAT'S NOT A PROBLEM BECAUSE
7 IRB'S ARE READILY AVAILABLE. THERE'S A HISTORY.

8 CHAIRMAN LO: INDEPENDENT IRB'S THAT ARE
9 ACCREDITED.

10 DR. ROBERTS: ALSO I WOULD WANT TO MAKE
11 SURE THAT THIS IS PART OF THE REQUIREMENT, THAT AN
12 IRB DOES APPROVE THE PROTOCOL.

13 DR. LOMAX: ABSOLUTELY. WE REVIEW THE IRB
14 SUBMISSION. WE LOOK FOR THAT.

15 WITH REGARD TO THE FRONT PART OF YOUR
16 QUESTION, WE'VE SEEN BOTH SCENARIOS. IT CAN BE A
17 NEW APPROVAL SPECIFIC TO THE PROTOCOL. IT CAN BE AN
18 ADDITION TO AN EXISTING LARGER SCALE, PARTICULARLY A
19 CLINICAL STUDY THAT'S A LARGER STUDY, THE CIRM PIECE
20 CAN COME IN AS PART OF THE EXISTING PROTOCOL. IT
21 WOULD TYPICALLY BE AN AMENDMENT TO THE IRB BECAUSE
22 IT WOULD -- BECAUSE OF THE NATURE -- BECAUSE THE
23 GRANT WOULD BE A CHANGE IN THE PROTOCOL. BUT WE
24 HAVE SEEN A VARIETY OF THINGS. THE MOST COMMON IS
25 AN IRB APPROVAL SPECIFIC TO THE CIRM PROTOCOL, BUT

BARRISTERS' REPORTING SERVICE

1 THAT'S A NOT A HUNDRED PERCENT OF THE TIME.

2 DR. ROBERTS: IT'S AN AMENDMENT THOUGH.
3 DOESN'T IT HAVE TO GO BACK TO THE IRB FOR APPROVAL?

4 CHAIRMAN LO: YES.

5 DR. ROBERTS: SO ONE SUGGESTION I WOULD
6 HAVE IN THINKING ABOUT THIS IS JUST MAKING SURE THAT
7 THE IRB IS INVOLVED AT THE RIGHT TIME TO ADD TO THE
8 INSTITUTIONAL OFFICIAL APPROVING IT.

9 DR. LOMAX: THAT'S RIGHT. WE HAVE SOME OF
10 THE SCIENCE STAFF HERE. I ALSO TRAIN OUR STAFF IN
11 TERMS OF PRE-AWARD NOTIFICATION. I DON'T KNOW HOW
12 MANY TIMES I'VE SAID OVER AND OVER AGAIN IF YOU SEE
13 HUMAN SUBJECTS RESEARCH, COME TALK TO ME, PLEASE.
14 THOSE ONES ARE IMMEDIATELY ON THE TOP OF OUR LIST TO
15 UNDERSTAND EXACTLY WHAT IS THE NATURE OF THE HUMAN
16 SUBJECTS RESEARCH, AND WE ASK A LOT OF -- I ALWAYS
17 ENCOURAGE THE SCIENCE OFFICERS TO ASK PROBES IF
18 THERE'S ANY UNCERTAINTY BECAUSE I THINK THAT'S THE
19 IMPORTANT PART, THAT SOMEONE IS LOOKING AT THIS,
20 THEY'VE GOT SMART QUESTIONS, AND I THINK THAT SENDS
21 AN IMPORTANT MESSAGE. I THINK THAT'S SOMETHING YOU
22 NOW ALL KNOW WHY I REPEAT THAT OVER AND OVER AGAIN.

23 CHAIRMAN LO: GEOFF, IF I CAN JUST MAKE A
24 FRIENDLY SUGGESTION, THAT YOU DEVELOP SOME MATERIALS
25 THAT EXPLAIN THIS THAT POINT OUT EXPLICITLY THE

BARRISTERS' REPORTING SERVICE

1 OTHER PROTECTIONS IN PLACE IN ADDITION TO THAT
2 PARTICULAR CLAUSE. SO THE IRB REVIEW HERE, THE
3 EDUCATIONAL ISSUES, THE BEST PRACTICE ISSUES TO PUT
4 IN THE CONTEXT OF THERE'S A WHOLE LOT ELSE GOING ON
5 THAT IS ALL DIRECTED TOWARDS MAKING SURE THAT
6 CONSENT FOR DERIVATION IS ETHICALLY STRONG.

7 SO I'M HEARING THAT WITH ALL THOSE
8 FOOTNOTES AND QUALIFICATIONS, WE ARE INCLINED, AS A
9 COMMITTEE, TO SUPPORT THIS.

10 LET'S THEN GO TO THE DERIVATION, THE THIRD
11 LINE DOWN, THE NEW IPSC DERIVATION FROM DEIDENTIFIED
12 SOMATIC CELLS. AND HERE THE CHANGE IS FROM THE
13 CURRENT REQUIREMENT TO NOTIFY EITHER THE SCRO OR THE
14 INSTITUTIONAL OFFICIAL TO SAYING NO, BECAUSE IT'S
15 DEIDENTIFIED SOMATIC CELLS, NO NOTIFICATION IS
16 NEEDED. I'M SKIPPING THE USE BECAUSE I WANT TO DO
17 THE USES TOGETHER.

18 SO WHAT DO WE THINK OF THAT REVERSAL OF
19 NOTIFICATION FOR THE DEIDENTIFIED? AND I'M TRYING
20 TO PUT IN THE CONTEXT OF WHAT JOHN AND OTHERS HAVE
21 SAID ABOUT, WELL, CLEARLY WE MEAN TO SAY THEY MAY BE
22 TECHNICALLY DEIDENTIFIED; BUT IF, IN FACT, THE
23 RESEARCHER WHO'S DOING THE DERIVATION REALLY KNOWS,
24 THEN IT SHOULD BE BUMPED UP TO THE IDENTIFIABLE
25 CATEGORY. SO THE QUESTION IS IF -- AND THE OTHER

BARRISTERS' REPORTING SERVICE

1 CONCERN WAS, WELL, IT MAY BE DEIDENTIFIED TO THE
2 RESEARCHER; BUT IF I OR MY CHILD HAS A VERY RARE
3 DISEASE AND I KNOW THEY'RE INTERESTED IN STUDYING
4 IT, I REALLY HOPE THEY STUDY IT, AND, IN FACT, AS
5 JOHN SAID, I HOPE THAT THIS WILL EVENTUALLY BECOME
6 VERY WIDELY USED, IT MAY BE DEIDENTIFIABLE TO THE
7 RESEARCHERS, BUT NOT TO THE PERSON. DOES THAT MAKE
8 A DIFFERENCE IN TERMS OF THE DERIVATION NOW?

9 DR. WAGNER: I THINK THAT IT DOES, AND I
10 THINK IT COULD HAVE A MAJOR IMPACT UPON THE CIRM
11 BANK THAT EVENTUALLY WILL TAKE PLACE.

12 CHAIRMAN LO: WHY DON'T YOU SAY A LITTLE
13 MORE ABOUT THAT.

14 DR. WAGNER: JUST BECAUSE, AS I SAID
15 BEFORE, THESE THINGS ARE NOT TRULY DEIDENTIFIED IN
16 ALL CIRCUMSTANCES. AND THIS IS COMPLICATED ENOUGH,
17 THAT WHEN I SUBMIT MY PROTOCOL TO THE IRB TO OBTAIN
18 A PIECE OF TISSUE FOR THE PURPOSE OF DEVELOPING AN
19 IPS LINE, WHICH I HAVE DONE WHERE I HAVE IT FOR
20 DIFFERENT GENETIC DISEASES, I HAVE IT FOR NORMAL
21 CONTROLS, AND I HAVE IT FOR UNRELATED VOLUNTEERS.
22 AND SO EACH ONE IS A BIT DIFFERENT THAN THE OTHER.
23 SO THE UNRELATED VOLUNTEERS, YOU GET A PIECE OF SKIN
24 THERE, THEY REALLY TRULY ARE DEIDENTIFIED. SO
25 THERE'S NO PROBLEM. BUT THE BLANKET STATEMENT

BARRISTERS' REPORTING SERVICE

1 DOESN'T APPLY TO ALL ASPECTS ALL WITHIN THE SAME
2 PROTOCOL.

3 SO I THINK THAT, YES, YOU COULD EDUCATE
4 THE IRB TO KNOW THIS NUANCE POTENTIALLY, OR YOU NEED
5 TO AT LEAST MAKE AN INSTITUTIONAL OFFICIAL AWARE. I
6 WOULDN'T PERSONALLY MAKE IT LESS THAN ANYTHING -- I
7 WOULDN'T MAKE IT DIFFERENT FROM THE IDENTIFIABLE
8 BECAUSE OF THE POSSIBILITY THAT IT COULD TRULY BE
9 IDENTIFIABLE.

10 CHAIRMAN LO: SO LET ME TRY AND THINK THIS
11 THROUGH WITH YOU. SO YOUR CONCERN IS THAT IT MAY BE
12 TECHNICALLY DEIDENTIFIED, BUT NOT REALLY. IT'S
13 REALLY IDENTIFIABLE.

14 DR. ROBERT TAYLOR: I THINK IT'S FAIR TO
15 SAY THAT WITH DEEP SEQUENCING, NOBODY IS
16 DEIDENTIFIED ANYMORE AND NEVER WILL BE INTO THE
17 FUTURE.

18 DR. WAGNER: EXCEPT THAT YOU DON'T KNOW
19 YOU'RE DEEP SEQUENCING YOURSELF. ONLY CRAIG KNOWS.

20 DR. LOMAX: COULD I ASK A QUESTION AT THIS
21 POINT? I THINK IF YOU NOTICE FROM THE COMMENTS, A
22 NUMBER OF INSTITUTIONS NOW ARE COMING BACK AND SORT
23 OF ASKING QUESTIONS ABOUT WHAT CATEGORICALLY IS
24 DEIDENTIFIED AND NOT. ONE THING WE COULD DO IS KIND
25 OF GO BACK OUT TO THE INSTITUTIONS AND SEE IF THERE

BARRISTERS' REPORTING SERVICE

1 IS SOME SORT OF WAY TO CREATE A BRIGHT-LINE
2 DISTINCTION BETWEEN STUFF THAT'S REALLY COMING FROM,
3 LIKE, AN OUTSIDE SOURCE THAT'S TRULY DEIDENTIFIED
4 VERSUS INTERNAL MATERIALS. THEY HAVE BEEN ASKING
5 THOSE QUESTIONS. I THINK WE'RE GOING TO HAVE TO
6 FIND A WAY TO THINK THROUGH THAT WITH PEOPLE. WE
7 CAN TAKE A LOOK AT THAT BECAUSE I'M UNDERSTANDING
8 YOUR CONCERNS.

9 CHAIRMAN LO: JOHN, LET ME FLIP IT AROUND
10 THE OTHER WAY AND SAY THAT YOU'VE RAISED SOME VERY
11 IMPORTANT CONCERNS ABOUT THINGS THAT REALLY ARE
12 IDENTIFIABLE ALTHOUGH THE FEDERAL REGULATIONS MAY
13 NOT THINK SO.

14 ON THE OTHER HAND, AND WE'VE ACTUALLY HAD
15 PROTOCOLS WHEN I CHAIRED THE SCRO WHERE SOMEONE IS
16 GETTING TISSUE FROM ANOTHER INSTITUTION WHERE IT WAS
17 ACTUALLY -- IT MAY HAVE BEEN A RARE DISEASE, BUT THE
18 TISSUE WAS DERIVED SOMEWHERE ELSE, DEIDENTIFIED, AND
19 THEN SENT TO THE INSTITUTION DOING THE DERIVATIONS.
20 THAT LINK THAT YOU POINT OUT BETWEEN THE CLINICIAN
21 CARING FOR THE PATIENT AND THE PERSON DOING THE
22 DERIVATION ARE DIFFERENT. AND EVEN THOUGH THE
23 PERSON WHO DONATED KNOWS THAT SOME TISSUE WAS
24 OBTAINED, THEY'RE NOT REALLY SURE THAT THEIRS IS THE
25 TISSUE THAT GOT TO THE OTHER INSTITUTION.

BARRISTERS' REPORTING SERVICE

1 IN THAT SETTING, WE HAVE TO THINK ABOUT
2 HOW WE DIFFERENTIATE THE TWO, BUT THAT'S THE
3 EXTREME. WOULD YOU HAVE CONCERNS ABOUT NOT
4 NOTIFYING WHERE YOU REALLY CAN'T -- IT REALLY IS
5 DEIDENTIFIED IN THE SENSE THAT YOU DON'T KNOW
6 ANYTHING ABOUT THE PATIENT EXCEPT THE CODE NUMBER
7 AND I GUESS ANY GENETIC SEQUENCING YOU CAN DO?

8 DR. WAGNER: THAT'S IT. THE PROBLEM IS
9 WOULDN'T THEY WANT TO HAVE -- IF THEY DIDN'T WANT
10 THE GENETIC INFORMATION, THAT'S A DIFFERENT STORY.
11 BUT IF IT'S A GENETIC DISEASE OR A DISEASE FOR WHICH
12 YOU KNOW THE MUTATION, BECAUSE THAT'S IMPORTANT FOR
13 THEM TO KNOW, THEN THAT'S WHERE EVEN, AS YOU SAID
14 PREVIOUSLY, EVEN IF THEY TRULY ARE DEIDENTIFIED, THE
15 PATIENT CAN RECOGNIZE THEIR OWN CELL POTENTIALLY IN
16 THE FUTURE.

17 SO MAYBE -- I KNOW WHAT YOU ARE GETTING
18 AT, AND I THINK THAT YOU'RE GETTING CLOSER TO
19 SOMETHING THAT TRULY IS MORE DEIDENTIFIED. IT'S
20 JUST A MATTER OF SOMEONE IS GOING TO HAVE TO ASK THE
21 QUESTION: ARE YOU PROVIDING ANY INFORMATION THAT
22 COULD IDENTIFY THE TISSUE FROM THE SOURCE SUCH AS
23 MUTATIONS?

24 CHAIRMAN LO: WELL, THERE IS -- LET ME
25 JUST SAY ONE THING AND THEN TURN TO NICOLE. THERE

BARRISTERS' REPORTING SERVICE

1 IS ANOTHER REGULATORY OUT, AND THAT'S IN HIPAA IF
2 YOU LOOK AT THE 17, A UNIQUE BIOLOGICAL IDENTIFIER
3 MAKES AN IDENTIFIABLE SAMPLE. SO YOU COULD ARGUE
4 THAT, IN FACT, IF YOU HAVE A UNIQUE BIOLOGICAL
5 IDENTIFIER, WHICH MAY JUST BE ENOUGH OF THE GENOMIC
6 SEQUENCE THAT YOU CAN IDENTIFY IT, THEN IT MAY NOT
7 BE TECHNICALLY DEIDENTIFIABLE AT LEAST BY ONE SET OF
8 FEDERAL REGULATIONS. AT LEAST WE CAN SORT OF POINT
9 THAT OUT.

10 DR. WAGNER: AT LEAST POINT IT OUT BECAUSE
11 I CAN TELL YOU THAT UP UNTIL NOW, AT LEAST AT OUR
12 INSTITUTION, IT WAS THOUGHT TO BE DEIDENTIFIED
13 BECAUSE OF THE CLASSIC WAY, AND YET IT REALLY
14 WASN'T. SO IT WASN'T BECAUSE -- JUST NO ONE THOUGHT
15 TO ASK THAT QUESTION. AND THE INVESTIGATOR DIDN'T
16 THINK TO PROVIDE THAT INFORMATION. JUST SAYING I'M
17 COLLECTING SKIN SAMPLES FROM FA PATIENTS. I'M NOT
18 GOING TO KEEP A LINK OF WHO THE PATIENT IS. THAT
19 WASN'T EXACTLY TRUE BECAUSE IT WAS ALWAYS LINKED TO
20 A MUTATION. SO, YES, THEY GOT RID OF THE PATIENT
21 NAME, BUT IT WAS LINKED TO A MUTATION. SO WE JUST
22 HAVE TO POINT THAT OUT THEN.

23 CHAIRMAN LO: NICOLE, YOU'VE THOUGHT ABOUT
24 THIS A LOT.

25 DR. LOCKHART: SO IN LISTENING TO THE

BARRISTERS' REPORTING SERVICE

1 CONVERSATION, IT SOUNDS LIKE IT'S GOING TO BE VERY
2 DIFFICULT TO CREATE THIS BRIGHT LINE WHEREBY ONE SET
3 OF DERIVATIONS WILL BE CONSIDERED DEIDENTIFIED AND
4 ANOTHER WOULD BE CONSIDERED IDENTIFIABLE,
5 PARTICULARLY SINCE THE REGULATIONS IN THIS INSTANCE
6 DON'T NECESSARILY ADDRESS SOME OF THE SPECIFIC
7 ISSUES WE'VE RAISED. THEY DON'T ADDRESS CAN THE
8 PATIENT IDENTIFY THEMSELVES. WHAT IF THE
9 PHYSICIAN -- WHAT IF IT'S A PHYSICIAN SCIENTIST KIND
10 OF PROJECT WHERE THE PHYSICIAN THEMSELVES WOULD
11 KNOW, EVEN IF THERE'S NO NAME, WHO THE PATIENT IS?

12 WHEN WE'RE THINKING ABOUT HOW TO
13 IMPLEMENT, IT SEEMS LIKE, TO ME AT LEAST, IT MIGHT
14 BE EASIER JUST TO HAVE THE POLICY THAT IF YOU'RE
15 DERIVING NEW LINES, YOU NOTIFY. BECAUSE ON THE SIDE
16 OF THE INSTITUTION, IF THERE ARE ALL THESE VERY
17 SPECIFIC IF IT'S THIS, IF IT'S THAT, WHERE IS THE
18 CELL COMING FROM, WHO IS IT IDENTIFIABLE TO, IT
19 SEEMS VERY DIFFICULT FOR THEM TO INTERPRET AND PARSE
20 THAT APART.

21 AND ALSO IF YOU HAVE NO NOTIFICATION AND
22 YOU'RE IN THAT FOURTH LINE THERE, IF YOU'RE RELYING
23 ON THE PI TO MAKE THAT DETERMINATION AS TO WHETHER
24 IT'S IDENTIFIABLE OR NOT, I THINK THAT'S ASKING THEM
25 TO MAKE A HARD DECISION, PARTICULARLY BECAUSE THIS

BARRISTERS' REPORTING SERVICE

1 IS A MOVING TARGET WITH THE ANPRM COMING OUT WHAT IS
2 CONSIDERED IDENTIFIABLE, AND THERE COULD ALSO BE A
3 POTENTIAL CONFLICT OF INTEREST THERE FOR THEM IF
4 THIS IS THEIR PROJECT. IT'S A LOT EASIER IF IT'S
5 NOT IDENTIFIABLE. I DON'T ACTUALLY KNOW THEIR NAME.
6 YOU WOULDN'T WANT TO BE PUTTING SOMEONE IN THAT
7 POSITION.

8 DR. PRIETO: THIS BRINGS UP AN INTERESTING
9 POINT. IT MAY ACTUALLY BE LESS OF A BURDEN TO JUST
10 SAY IF YOU'RE DERIVING, NOTIFY. BUT MAYBE WE
11 SEPARATELY NEED TO LOOK AT HOW DOES THAT GET
12 IMPLEMENTED AND HOW DO WE MINIMIZE THE BURDEN.

13 DR. ROBERT TAYLOR: THAT MIGHT ALSO
14 INCENTIVIZE HAVING AS MUCH INFORMATION ABOUT THE
15 INDIVIDUAL AS YOU CAN HAVE BECAUSE AT THE END OF THE
16 DAY, THE MORE YOU KNOW ABOUT CELLS THAT YOU'RE
17 WORKING WITH, THE BETTER YOU'RE GOING TO DO WITH
18 THEM FOR WHATEVER APPLICATION.

19 SO IN THIS SETTING WE ACTUALLY SORT OF
20 INCENTIVIZE PEOPLE TO LEAVE DATA OFF THE TABLE THAT
21 MIGHT ACTUALLY BE USEFUL IN TERMS OF ULTIMATE...

22 DR. LOMAX: AGAIN, NOT TO CHALLENGE ANY OF
23 THE PERSPECTIVE HERE, JUST TO BE CLEAR THAT THE
24 SCIENCE OFFICER DOES -- THERE IS A SCIENCE OFFICE
25 REVIEW OF THOSE PROTOCOLS AS THEY COME THROUGH AS

BARRISTERS' REPORTING SERVICE

1 WELL. SO THERE IS AT LEAST AN EXTERNAL CHECK FROM
2 OUR SIDE, BUT I'M NOT TRYING TO INFLUENCE THE
3 DIRECTION OF THE CONVERSATION. I JUST WANT TO BE
4 CLEAR YOU WOULDN'T HAVE SOMETHING JUST SORT OF SENT
5 IN BY A PI WITHOUT GETTING THE REVIEW FROM OUR END
6 AS WELL.

7 CHAIRMAN LO: LET ME SAY, GEOFF, ONE THING
8 THAT HAS COME UP IN MY EXPERIENCE IS THAT CIRM GIVES
9 DIFFERENT KINDS OF GRANTS. AND SOME ARE THE
10 EQUIVALENT ROIS TO INDIVIDUALS WHERE THEY TELL YOU
11 THIS IS THE PROJECT I WANT TO DO. OTHERS ARE REALLY
12 TEAM -- ARE MUCH BROADER GRANTS, EITHER TRAINING
13 GRANTS OR SORT OF LONG-TERM PROGRAM-TYPE GRANTS
14 WHERE YOU MAY NOT BE TOLD WHEN YOU GIVE THE FUNDING
15 WHAT EXACTLY IS GOING TO HAPPEN. I'M JUST WONDERING
16 IF THAT ASPECT -- I ACTUALLY SUPPORT THOSE KINDS OF
17 BIG GRANTS BECAUSE IT GIVES FLEXIBILITY AND DIRECTS
18 PEOPLE TO LONG-TERM GOALS AND STUFF.

19 I'M JUST WONDERING IF THAT HAS
20 IMPLICATIONS HERE BECAUSE MY UNDERSTANDING IS YOU
21 REVIEW THE GRANT AS A WHOLE; BUT THEN WHEN SOME
22 TRAINEE OR SOME SUBPROJECT TAKES PLACE THAT INVOLVES
23 REPROGRAMMING, YOU DON'T NECESSARILY HAVE TO SEE
24 THAT PARTICULAR PART OF THE GRANT.

25 DR. LOMAX: WE'LL SEE IT IN THE PROGRESS

BARRISTERS' REPORTING SERVICE

1 REPORT. AT THE TIME OF THE PROGRESS REPORT, THE
2 SCIENCE OFFICER IS REQUIRED TO THEN REEVALUATE THE
3 NOTIFICATIONS AND APPROVALS. THAT'S ACTUALLY
4 BECOME -- SO IT'S THE INITIAL AWARDING, THERE IS A
5 CHECK PHASE, AND THEN TYPICALLY WE SPEND -- I GET A
6 LOT OF QUESTIONS IN THE PROGRESS REPORT PHASE. SO
7 BEFORE RENEWAL, AGAIN, OUR SYSTEMS REQUIRE THAT ANY
8 REQUIRED ELEMENTS BE IN PLACE, OR WE'RE NOT GOING TO
9 DO THAT RENEWAL.

10 CHAIRMAN LO: ACTUALLY YOU MIGHT REVIEW IT
11 AS A SCIENCE PROJECT OFFICER AFTER THE RESEARCH HAS
12 COMMENCED.

13 DR. LOMAX: YOU'RE EXACTLY RIGHT.
14 ABSOLUTELY THERE'S AN OPPORTUNITY FOR LATENCY
15 BETWEEN OUR LOOK AND THE ACTUAL WORK, BUT THERE WILL
16 BE A LOOK EVENTUALLY. AND IF WE SEE DISCREPANCIES,
17 WE CAN OFTEN COME BACK, AND IT'S A TEACHABLE MOMENT.

18 DR. BOTKIN: I THINK THIS PROBLEM RAISES
19 SOME UNSETTLED ISSUES CERTAINLY IN THE FIELD. I'M
20 INVOLVED IN A PROJECT OUT OF CASE WESTERN THAT'S
21 LOOKING AT INSTITUTIONAL POLICIES ABOUT BIOBANKING
22 AND HOW IRB'S ARE MAKING DECISIONS AROUND ISSUES
23 LIKE IDENTIFIABILITY. I THINK AT OUR INSTITUTION,
24 AT LEAST WHAT I HOPE IS HAPPENING, IS THAT
25 PARTICULARLY IN THE CIRCUMSTANCE YOU START WITH AN

BARRISTERS' REPORTING SERVICE

1 IDENTIFIABLE TISSUE SET AND SOMEBODY DEIDENTIFIES
2 IT, AND THAT'S A PROCESS THAT MAY OR MAY NOT BE
3 EFFECTIVE OR UP TO CERTAIN STANDARDS. SO I THINK
4 THIS IS A DOMAIN WHERE WE WOULD LIKE THE IRB IN A
5 DEIDENTIFIED RESEARCH SETTING TO EVALUATE THAT
6 PROJECT SUFFICIENTLY TO DETERMINE THAT INDEED IT IS
7 DEIDENTIFIED AND INDEED THE IRB DOES NOT NEED TO BE
8 INVOLVED BECAUSE IT'S NONHUMAN SUBJECTS RESEARCH.

9 IT SEEMS TO ME THAT, AS THIS IS LAID OUT,
10 THIS DOESN'T REQUIRE AN IRB TO MAKE THE
11 DETERMINATION THAT IT'S ADEQUATELY DEIDENTIFIED AND,
12 THEREFORE, HUMAN NONSUBJECT RESEARCH, PROBABLY A
13 BETTER WAY TO SAY THAT, WOULD IT BE CONCEIVABLE
14 UNDER THESE SORTS OF GUIDELINES FOR SOMEBODY TO TAKE
15 RESIDUAL CLINICAL SAMPLES, DEIDENTIFY THOSE, DERIVE
16 NEW IPSC LINES WITH THAT WITHOUT ANY NOTIFICATION OF
17 ANYBODY WITHIN THE INSTITUTION THAT THAT'S A
18 RESEARCH PROCESS THAT'S GOING ON?

19 DR. WAGNER: YES. AND IT HAPPENS NOW.

20 DR. ROBERTS: THAT WAS GOING TO BE MY
21 POINT, THAT UNLIKE WHAT I SAID BEFORE, THAT YOU HAVE
22 THE BACKUP OF THE IRB REVIEW, YOU COULD IMAGINE A
23 SITUATION HERE WHERE THE IRB ISN'T EVEN NOTIFIED AT
24 ALL BECAUSE THE RESEARCHER ALREADY HAS INTERPRETED
25 THAT THIS IS DEIDENTIFIED. SO THAT'S A CONCERN. I

BARRISTERS' REPORTING SERVICE

1 ALWAYS -- IF THERE'S SOME BACKUP LIKE IRB REVIEW, IT
2 HELPS, AND HERE THERE MIGHT NOT BE.

3 CHAIRMAN LO: JEFF AND DOROTHY, ARE YOU
4 SUGGESTING THAT THERE MIGHT BE VALUE IN HAVING
5 SOMEBODY LOOK OVER THIS PROPOSAL TO DERIVE -- TO
6 REPROGRAM DEIDENTIFIED CELLS TO MAKE SURE THAT THEY
7 REALLY ARE DEIDENTIFIED IN JEFF'S SOMEWHAT BROADER
8 SENSE, BUT JUST TO LOOK AT THAT BECAUSE EITHER IT
9 GETS KICKED UP TO IDENTIFIABLE OR YOU SAY, NO, IT'S
10 OKAY? SO I GUESS THE PURPOSE WOULD BE TO MAKE SURE
11 THEY'VE INTERPRETED THE CONCEPT OF DEIDENTIFIED IN
12 THIS CONTEXT APPROPRIATELY.

13 I GUESS THEN THE OTHER QUESTION IS WOULD
14 THAT, GEOFF, AT ALL BY NARROWING -- I DON'T KNOW IF
15 THAT ACTUALLY NARROWS THE SCOPE OF THE NOTIFICATION.
16 WILL THAT CUT DOWN ON THE ADMINISTRATIVE BURDENS OR
17 NOT?

18 DR. WAGNER: WHILE YOU'RE THINKING,
19 DOROTHY, AS YOU'RE SAYING, THE REASON I SAY YES IS
20 IS THAT I HAVE ALL THESE STORED MARROW SPECIMENS ON
21 PATIENTS FOR THE PAST TWO DECADES, NEVER IN A
22 MILLION YEARS EVER IMAGINING IPS. AND YET PEOPLE
23 ARE COMING BACK TO ME AND SAYING CAN I HAVE THESE
24 CELLS, WHICH, OF COURSE, ARE ALL FOR THEIR PURPOSES,
25 FROM THE IRB POINT OF VIEW, WAS ALL OKAYED BECAUSE

BARRISTERS' REPORTING SERVICE

1 IT WAS, QUOTE, IT WILL BE DEIDENTIFIED TO ANYONE I
2 WOULD GIVE THESE CELLS TO. BUT, AGAIN, THEY'RE
3 ASKING FOR THE MUTATION. AND WHEN YOU TAKE IT IN A
4 DIFFERENT CONTEXT, IN MY HEAD THEY WERE
5 DEIDENTIFIED. IT'S JUST THAT I THINK THAT PEOPLE
6 DON'T REALIZE THAT -- PEOPLE AREN'T PURPOSEFULLY
7 MISLEADING THE IRB OR ANY REGULATORY BODY. IT'S
8 JUST THAT IN ANY OTHER CONTEXT IT WOULD HAVE BEEN
9 NOT AN ISSUE. IT'S JUST THAT THE CAPACITY OF THESE
10 CELLS ARE SO BROAD, AND THEN THEY COULD BE --
11 THERE'S NOT A DEAD END. TYPICALLY I WOULD GIVE
12 THESE LYMPHOCYTES OR THESE BONE MARROW CELLS AND IT
13 WOULD BE AN EXPERIMENT AND IT'S GONE. BUT THE FACT
14 THAT YOU CAN DERIVE THE CELL LINE FROM IT THAT HAS
15 WIDE POTENTIAL AND WILL THEN BE LINKED TO AN
16 IDENTIFIER, A MUTATION CHANGES EVERYTHING, AND THE
17 IRB APPROVAL OCCURRED A DECADE AGO.

18 DR. BOTKIN: CAN I ADD ONE QUICK POINT TO
19 THAT TOO? I THINK THAT THE DEIDENTIFIED ASPECT OF
20 THAT IS A DETERMINATION THAT IS COMPLICATED AND PART
21 OF THE DISCUSSION. BUT THE OTHER ONE THAT'S NOT
22 REALLY QUITE READY FOR NATIONAL PRIME TIME IS THIS
23 QUESTION OF CELLS THAT WERE DERIVED UNDER A SPECIFIC
24 SET OF RESTRICTIONS. AND FOLKS HAVE FELT FREE TO
25 THEN DEIDENTIFY THEM AND USE THEM FOR SOMETHING

BARRISTERS' REPORTING SERVICE

1 ENTIRELY DIFFERENT THAT'S NOT CONSISTENT WITH THE
2 ORIGINAL CONSENT PROCESS.

3 I THINK A LOT OF IRB'S HAVEN'T GRAPPLED
4 YET WITH THAT ISSUE TO SAY MAYBE WE OUGHT TO BE
5 ACTUALLY LOOKING AT THE CONSENT FORM EVEN FOR
6 SAMPLES THAT HAVE BEEN DEIDENTIFIED TO MAKE SURE
7 THAT WE'RE HONORING THE COMMITMENT WE MADE TO THOSE
8 DONORS. SO I DON'T THINK THAT'S COMMONLY HAPPENING
9 NOW, BUT WE'LL FIND OUT. BUT AS THIS FIELD CHANGES,
10 I THINK THERE MAY BE REASONS FOR FOLKS TO TAKE A
11 LOOK AT THE CONDITIONS UNDER WHICH THESE THINGS WERE
12 DERIVED BEFORE MAKING A DECISION ABOUT USES EVEN
13 WHEN THEY'RE DEIDENTIFIED.

14 DR. ROBERTS: SO IT SEEMS LIKE THERE'S TWO
15 ISSUES HERE. ONE IS WHETHER WE COULD BETTER MAKE
16 THE DISTINCTION BETWEEN DEIDENTIFIED AND IDENTIFIED.
17 BUT AS YOU'RE JUST RAISING AND WAS RAISED BEFORE,
18 FOR ETHICAL REASONS IT MAY NOT MATTER WHETHER IT WAS
19 IDENTIFIED OR DEIDENTIFIED. SO IN TERMS OF CONSENT
20 OF THE ORIGINAL DONOR, WHETHER IT'S EVENTUALLY
21 DEIDENTIFIED, IT STILL MAY VIOLATE THEIR CONSENT IF
22 IT'S USED IN A WAY THAT THEY DIDN'T CONSENT TO.

23 CHAIRMAN LO: SO WHAT I'M HEARING IS A LOT
24 OF SENTIMENT IN FAVOR OF NOT MAKING THIS PROPOSED
25 CHANGE AND KEEPING THE CURRENT SITUATION OF

BARRISTERS' REPORTING SERVICE

1 NOTIFICATION OF SCRO OR INSTITUTIONAL OFFICIAL FOR
2 DEIDENTIFIED DERIVATION AS WELL AS IDENTIFIABLE
3 DERIVATION.

4 DR. ROBERTS: TREATING THEM THE SAME.

5 DR. ROBERT TAYLOR: COLLAPSING THE ROWS.

6 CHAIRMAN LO: HOPEFULLY IN THE TRANSCRIPT
7 THERE'S REASONS FOR WHY WE THOUGHT THAT.

8 DR. BOTKIN: I PROBABLY DIDN'T UNDERSTAND
9 GEOFF'S RESPONSE TO MY EARLIER QUESTION ABOUT THIS.
10 NOTIFICATION IS A PRETTY THIN TERM. AND SO IT SEEMS
11 SORT OF LIKE A MINIMAL TERM TO SAY THERE'S SOME
12 COMMUNICATION GOING ON. BUT IS THE CONCEPT OF
13 NOTIFICATION UNDER THE CURRENT POLICY SOME
14 SUGGESTION THAT SOME OFFICIAL OR SCRO IS GOING TO
15 ACTUALLY SIGN SOMETHING THAT SAYS WE'VE BEEN
16 NOTIFIED, IT'S OKAY TO GO FORWARD? OR IS THAT
17 UNILATERAL COMMUNICATION ENTIRELY CONSISTENT WITH
18 THE NOTIFICATION REQUIREMENT?

19 DR. LOMAX: IN TERMS OF IMPLEMENTATION,
20 THERE'S A VARIETY OF APPROACHES. SOME INSTITUTIONS
21 HAVE HIGHLY ESTABLISHED SYSTEMS WHERE THERE'S A
22 NOTIFICATION SORT OF PROTOCOL AND WE GET A COPY OF
23 SORT OF A BLURB ABOUT NOTIFICATION. OTHERS IT'S
24 MORE OF AN E-MAIL COMMUNICATION, BUT THERE IS A
25 CONTACT TO SOMEONE. IN MOST CASES IT'S THE

BARRISTERS' REPORTING SERVICE

1 OVERSIGHT COMMITTEE HISTORICALLY, AND IT'S, AGAIN, A
2 POSITIVE NOTIFICATION. AND, AGAIN, THE
3 INSTITUTIONAL SORT OF SYSTEMS VARY QUITE BROADLY,
4 BUT IT IS, LIKE I SAY, THAT MESSAGE GETTING IN THAT
5 THIS IS A CIRM AWARD AND INVOLVES THIS SORT OF WORK,
6 AND THAT TRIGGERS A SET OF INSTITUTIONAL ACTIONS.

7 DR. BOTKIN: SO THE PRESUMPTION IS IF THE
8 INSTITUTIONAL OFFICIAL DOESN'T SAY SOMETHING, THAT
9 NOTIFICATION ENTAILS INSTITUTIONAL ACCEPTANCE FOR
10 THEIR RESEARCH TO GO FORWARD?

11 DR. LOMAX: AGAIN, THEY'RE REQUIRED TO
12 NOTIFY THE APPROPRIATE OFFICIALS. THE APPROPRIATE
13 OFFICIAL THEN HAS TO -- AGAIN, THEIR RESPONSE WILL
14 VARY ON AN INSTITUTION-BY-INSTITUTION BASIS. BUT
15 THEY KNOW, BECAUSE OF OUR REGULATIONS, THAT THE
16 COMMITTEE OR THE INSTITUTIONAL OFFICIAL HAS THE
17 RESPONSIBILITY FOR THEM ASSURING THAT THE RESEARCH
18 IS CONDUCTED IN ACCORDANCE WITH OUR REGULATIONS. SO
19 THEY BECOME THE RESPONSIBLE PARTY BY VIRTUE OF THAT
20 NOTIFICATION. DOES THAT MAKE SENSE?

21 DR. BOTKIN: THAT HELPS. THANK YOU.

22 DR. LOMAX: WE WOULD GO TO THEM IF WE
23 THOUGHT THERE WAS SOMETHING A PROBLEM.

24 CHAIRMAN LO: SO LET'S MOVE AHEAD TO USE
25 OF STEM CELLS. SO THIS IS LINE 3, 5, AND 6. SO USE

BARRISTERS' REPORTING SERVICE

1 OF IDENTIFIABLE IPSC'S. AND, AGAIN, I JUST WANT TO
2 REMIND US, AND I HAD FORGOTTEN THIS EARLIER, THAT IF
3 THE RESEARCH INVOLVES THE CREATION OF HUMAN GAMETES,
4 THERE'S SCRO APPROVAL REQUIRED. IF IT INVOLVES
5 INJECTION OF HUMAN STEM CELLS OR NEUROPROGENITOR
6 CELLS INTO EITHER EMBRYONIC, FETAL, OR POSTNATAL
7 DEVELOPMENT, THERE NEEDS TO BE SCRO REVIEW AND
8 APPROVAL. IF IT INVOLVES HUMAN SUBJECTS IN TERMS OF
9 PUTTING CELLS INTO PATIENTS, IT REQUIRES IRB REVIEW.

10 SO WE'RE TALKING ABOUT REALLY IN VITRO
11 ONLY USE NOT FOR GAMETE DERIVATION. FOR
12 IDENTIFIABLE IPSC'S, CURRENTLY IT'S NOTIFICATION TO
13 THE SCRO. AND THE PROPOSAL IS TO BROADEN THAT TO
14 NOTIFY EITHER THE SCRO OR RESPONSIBLE INSTITUTIONAL
15 OFFICIAL AS ANOTHER OPTION IN KEEPING WITH THE
16 PARKINSON'S CENTER.

17 SO ANY CONCERNS ABOUT MAKING THAT AN
18 OPTION? AGAIN, WITH ALL THE CAVEATS ABOUT THIS IS
19 ALL IN THE CONTEXT OF EDUCATION OF OFFICIALS AND
20 SORT OF OUTREACH AND SORT OF SITE VISITS.

21 SO NOW LET'S GO TO DEIDENTIFIED, THE LAST
22 TWO LINES.

23 DR. LOMAX: THERE'S NO CHANGE PROPOSED
24 HERE.

25 CHAIRMAN LO: SO THE DEIDENTIFIED INDUCED

BARRISTERS' REPORTING SERVICE

1 PLURIPOTENT STEM CELLS, AGAIN, IN THAT NARROW
2 CONTEXT OF IN VITRO WORK THAT DOESN'T INVOLVE
3 CREATION OF HUMAN GAMETES, RIGHT NOW IT REQUIRES
4 NOTIFICATION OF EITHER SCRO OR INSTITUTIONAL
5 OFFICIAL. PROPOSAL IS NO NOTIFICATION NECESSARY.
6 THIS IS WHAT WE TALKED A LOT ABOUT BEFORE LUNCH, AND
7 I WANT TO SORT OF BRING IT BACK TO YOU WITH SORT OF
8 TRYING TO REACH ENOUGH CLOSURE TO AT LEAST GIVE SOME
9 GUIDANCE TO THE ICOC. YOUR THOUGHTS?

10 DR. ROBERTS: WELL, A COUPLE THOUGHTS ARE,
11 ONE, WHETHER WE SHOULD SUGGEST THE SAME THING FOR
12 USE AS WE DID FOR DERIVATION, TREATING DEIDENTIFIED
13 AND IDENTIFIED THE SAME. THE OTHER IS WHETHER THE
14 TWO EXCEPTIONS FOR CREATION OF GAMETES AND INJECTION
15 IN NONHUMAN ANIMALS, WHETHER WE THINK THOSE ARE
16 SUFFICIENT, OR WHETHER THERE SHOULD BE SOME OTHER --
17 I WOULD REFER TO THE SCIENTISTS WHO KNOW WHAT THEIR
18 ADDITIONAL SENSITIVE USES THAT HAVE COME UP THAT
19 SHOULD BE ADDED TO THAT LIST.

20 CHAIRMAN LO: SO ONE THING WE COULD
21 CERTAINLY DO IS TO SAY THAT WE NEED TO KEEP UP WITH
22 SCIENTIFIC PROGRESS AND TO SORT OF ANTICIPATE AND
23 DELIBERATE ABOUT OTHER IN VITRO USES THAT MAY INVOKE
24 SENSITIVITIES.

25 DR. ROBERTS: WHATEVER THAT MEANS,

BARRISTERS' REPORTING SERVICE

1 SENSITIVITY. THAT SEEMS TO BE THE TERM.

2 CHAIRMAN LO: PAT TAYLOR BEFORE LUNCH, I
3 THINK IT WAS YOU, PAT, SUGGESTED THAT DEVELOPING AN
4 ORGAN FROM STEM CELLS ON A SCAFFOLD ACTUALLY LOOKS
5 LIKE A HUMAN ORGAN, I DON'T SAY PARTICULARLY IT
6 LOOKS LIKE A HEART, MIGHT BE SOMETHING THAT WE WANT
7 TO HAVE SOME AT LEAST NOTIFICATION OR POSSIBLY SOME
8 REVIEW OF EVEN THOUGH IT'S NOT NOW COVERED AS
9 SOMETHING THAT NEEDS TO GO TO OVERSIGHT.

10 DR. PAT TAYLOR: WHAT I MEANT TO DO WITH
11 THAT EXAMPLE WAS POINT TO SOMETHING THAT IS SORT OF
12 THE COMMONPLACE, BUT AT THE SAME TIME DOES RAISE
13 SOME LEVEL OF CONCERN IN SOME CIRCUMSTANCES, BUT NOT
14 NECESSARILY TO SUGGEST THAT WHATEVER CONCERNS THERE
15 ARE ABOUT TAMING SCIENCE WITH POLICY OUGHT TO
16 NECESSARILY BE RESOLVED THROUGH A SCRO. I THINK
17 IT'S AN INTERESTING QUESTION AS IT EVOLVES.

18 CHAIRMAN LO: MAYBE IT'S SOMETHING TO
19 HIGHLIGHT FOR EITHER GEOFF, AS A STAFF PERSON,
20 ACTUALLY FOR US AS A COMMITTEE, TO SAY TO THE EXTENT
21 THAT THIS IS ACTUALLY SOMETHING THAT IS PART OF
22 CIRM'S SCIENTIFIC PLAN, THAT WE MAY WANT TO DEVOTE
23 SOME TIME TO THINKING ABOUT THAT, HAVE A MINI
24 WORKSHOP WHERE WE INFORM OURSELVES ABOUT THE
25 SCIENCE, BRINGING PEOPLE WHO THOUGHT ABOUT THE

BARRISTERS' REPORTING SERVICE

1 ETHICS AND POLICY. I DON'T THINK WE WANT TO JUMP TO
2 CHANGING THE REGULATIONS NOW. THIS MAY BE MORE OF A
3 LET'S FOCUS ON THIS IF IT'S IMPORTANT.

4 DR. PAT TAYLOR: I THOUGHT THE POINT
5 PERSONALLY WAS EXTREMELY WELL TAKEN, THAT TO THE
6 EXTENT THAT THERE'S GOING TO BE CLINICAL TRIALS AND
7 THINGS LEADING INTO CLINICAL PRACTICE, IT GIVES A
8 CERTAIN PERSPECTIVE ON THE BREADTH OF WHAT HAS TO
9 OCCUR SOMEWHERE ALONG THE LINE. AND GIVEN
10 YESTERDAY'S PRESENTATION ABOUT THE PROBLEMS AT THE
11 FDA, SEEMS AS IF THERE'S A BROADER PICTURE ABOUT HOW
12 REVIEW OUGHT TO TAKE PLACE IN THE CONTEXT OF
13 DEVELOPING THE KIND OF STEM CELL PRODUCTS THAT
14 PEOPLE HERE EXPECT.

15 CHAIRMAN LO: OKAY. SO WITH REGARD TO THE
16 SPECIFIC PROPOSAL, DOROTHY, YOU ARGUED AGAINST
17 ELIMINATING THE NOTIFICATION. OTHER THOUGHTS ON
18 THAT PARTICULAR ISSUE?

19 DR. BOTKIN: JUST A QUESTION, I GUESS. IS
20 THERE A REASON TO KEEP THINGS PARALLEL BETWEEN THE
21 DERIVATION AND THE USE, OR WOULD FOLKS WANT TO MAKE
22 THE CLAIM THAT THERE'S SUFFICIENT DIFFERENCE AMONG
23 THOSE TWO, THAT THERE CAN BE A DIFFERENCE WITH
24 RESPECT TO THE STANDARD?

25 CHAIRMAN LO: YOU WANT TO SORT OF SAY A

BARRISTERS' REPORTING SERVICE

1 LITTLE MORE ABOUT HOW YOU THINK.

2 DR. BOTKIN: I GUESS IT SEEMS TO ME, AND
3 NOT REALLY KNOWING ENOUGH ABOUT THE SCIENCE HERE,
4 THAT IT MAKES SENSE TO HAVE THOSE TWO BE PARALLEL.
5 I DON'T SEE ENOUGH OF A DIFFERENCE BETWEEN THE
6 DERIVATION PROCESS AND THE USE PROCESS TO SAY THAT I
7 SEE ONE WHERE WE WOULD FEEL MOST COMFORTABLE HAVING
8 NOTIFICATION AND THE OTHER NOT. IT SEEMS TO ME THAT
9 IF WE DECIDED FOR THE DERIVATION ONE, THAT
10 NOTIFICATION PROBABLY IS MORE COMFORTABLE AT THIS
11 POINT, THEN IT SEEMS TO ME NOTIFICATION WOULD BE
12 MORE APPROPRIATE FOR THE USE. BUT, AGAIN, IF FOLKS
13 SEE A DISTINCTION THERE BETWEEN THOSE TYPES OF
14 RESEARCH PROJECTS THAT WOULD BE RELEVANT HERE, I'D
15 BE INTERESTED TO LEARN MORE.

16 DR. LOMAX: ONE OF THE DISTINCTIONS I'VE
17 EXPLAINED TO INSTITUTIONS WHEN THEY ASK ME THE
18 QUESTION WHY ARE WE DOING THIS, ON THE DERIVATION
19 END WE SEE MORE OF AN OPPORTUNITY TO TAKE SOME SORT
20 OF ACTION TO INFLUENCE THE DECISIONS. AGAIN, ON THE
21 IDENTIFIABLE SIDE, I MENTIONED CONSENT. ON THE USE
22 SIDE, IT'S THAT ABILITY TO, ESPECIALLY WHEN YOU GET
23 DOWN TO HERE, WHICH IS REALLY LIKE EVERYTHING, IT'S
24 DIFFICULT TO IMAGINE HOW THE CHOICE AT THIS STAGE,
25 WHAT THE ACTION IS THAT COULD SORT OF CHANGE

BARRISTERS' REPORTING SERVICE

1 SOMETHING. THIS IS REALLY JUST A HUGE -- THIS IS
2 SORT OF THE UNIVERSE OF MATERIALS THAT ARE IN
3 CIRCULATION. AND I'M NOT SURE THAT THERE'S ANY
4 PROCESS WE CAN DEVISE TO INFLUENCE THAT POPULATION
5 OF MATERIALS.

6 I SUPPOSE THE ONE COUNTER TO THAT WOULD BE
7 AT LEAST IF THERE'S SOMETHING YOU THINK IS
8 PROBLEMATIC IN SOME WAY, AT LEAST OUR FOLKS WOULD BE
9 ADVISED NOT TO USE IT, BUT THAT'S REALLY THE ONLY
10 COUNTER EXAMPLE THERE.

11 AGAIN, IT WAS THE IDEA OF IS THERE
12 SOMETHING SORT OF ACTIONABLE, AND THIS SEEMS TO BE
13 THE LEAST ACTIONABLE OF THE USES OF THE RESEARCH
14 PROTOCOL.

15 DR. PAT TAYLOR: THIS MAY SEEM LIKE AN
16 UNUSUALLY BIASED APPROACH, BUT IT DOES SEEM TO ME
17 THAT THERE ARE SOME USES OF IPS CELLS THAT ARE
18 REALLY POTENTIALLY CONCERNING, LIKE SOME USES OF
19 EMBRYONIC STEM CELLS, LIKE CHIMERIC USES AND SO ON.
20 IT IS A FAMILY OF IDENTIFIABLE USES. AND THEN
21 THERE'S AN ADDITIONAL SET OF THINGS THAT PEOPLE HAVE
22 TALKED ABOUT CONCERNS ABOUT THE CLINICAL PRODUCT
23 DEVELOPMENT, FOR EXAMPLE, AND WAYS IN WHICH
24 DERIVATIVES MAY ACTUALLY RAISE ISSUES. IT DOES SEEM
25 TO ME THERE'S A LOT OF THOUGHT THAT NEEDS TO GO INTO

BARRISTERS' REPORTING SERVICE

1 FIGURING OUT WHAT KIND OF REVIEW OUGHT TO BE
2 REQUIRED FOR THIS.

3 DOES NOTIFICATION ACTUALLY REQUIRE THAT?
4 SO WHAT WE'RE TALKING ABOUT DOING NOW, IF I
5 UNDERSTAND CORRECTLY, IS MAINTAINING THE
6 NOTIFICATION REQUIREMENT FOR THIS QUESTIONABLE POOL
7 EVEN THOUGH, AT LEAST AS FAR AS WE KNOW,
8 INSTITUTIONS ARE NOT DOING ANYTHING WITH THAT
9 NOTIFICATION ALONG THE LINES OF THE KIND OF CAREFUL
10 REVIEW WE'RE DISCUSSING. SO WE HAVE A PERCEPTION
11 ARISING OUT OF THIS EXCELLENT DISCUSSION THAT
12 THERE'S A BODY OF THINGS WE NEED TO EXPLORE.

13 I GUESS I KIND OF QUESTION WHETHER
14 NOTIFICATION OF INSTITUTIONS IS GOING TO ACCOMPLISH
15 THAT REVIEW, AND WHETHER OR NOT THE RIGHT THING TO
16 DO MIGHT ACTUALLY SIMPLY BE TO PEEL BACK THE
17 NOTIFICATIONS TO THE THINGS WE KNOW OF AND ARE
18 PRETTY WELL ESTABLISHED POTENTIAL USES THAT ARE
19 PROBLEMATIC AND AT THE SAME TIME REALLY WORK
20 THOUGHTFULLY TO TRY AND USE SOME OF THE IDEAS THAT
21 PEOPLE HAVE EXPRESSED HERE TO FIGURE OUT WHAT KIND
22 OF ADDITIONAL REVIEW BEYOND NOTIFICATION MIGHT BE
23 REQUIRED, AND ALSO COMMENSURATE CHANGES IN SCRO
24 QUALIFICATIONS.

25 THERE IS A LARGE ISSUE OUT THERE ABOUT HOW

BARRISTERS' REPORTING SERVICE

1 CELLULAR PRODUCTS, FOR EXAMPLE, ARE CREATED AND
2 INTERESTING QUESTIONS. BUT NOTIFICATION DOESN'T
3 SEEM TO GET AT THEM, AND I WORRY A LITTLE BIT ABOUT
4 MAINTAINING A NOTIFICATION REQUIREMENT WITH THE
5 THOUGHT THAT WE SOMEHOW HAVE DEALT WITH THE REVIEW
6 OF THESE THINGS BECAUSE I DON'T THINK WE HAVE. IT'S
7 A NEW AND COOL TOPIC.

8 CHAIRMAN LO: AGAIN, IT'S THIS ISSUE WE
9 TOUCHED ON BEFORE LUNCH WHERE A LOT OF THIS NOW IS
10 REALLY REFERRING TO IN VITRO USES OF STEM CELLS
11 BECAUSE WE'VE SORT OF PUT ASIDE SOME OF THE
12 QUESTIONABLE HUMAN CELLS INTO ANIMALS, WE PUT ASIDE
13 THE CLINICAL TRIALS ASPECT. SO WE'RE SAYING IN
14 VITRO USES THAT DON'T INVOLVE DERIVATION OF GAMETES.
15 THE IMPRESSION THAT WE HAVE IS THAT MOST OF THAT
16 RESEARCH IS REALLY UNPROBLEMATIC, NOT SENSITIVE, BUT
17 THERE ARE SOME THINGS THAT MAY, IN FACT, BE
18 SENSITIVE OR MAY EMERGE AS SENSITIVE. AND I GUESS
19 THE QUESTION IS WHAT REGULATORY SCHEME SHOULD THERE
20 BE.

21 I THINK, PAT, YOU'RE SUGGESTING THAT FOR
22 SOME THINGS NOTIFICATION MAY NOT BE STRONG ENOUGH.
23 YOU WANT NOTIFICATION WITH SOME DELIBERATION AND
24 REVIEW.

25 DR. PAT TAYLOR: THIS IS A REALLY

BARRISTERS' REPORTING SERVICE

1 INTERESTING AND POWERFUL DISCUSSION. THE THOUGHT
2 THAT THERE'S SOMETHING OUT THERE WHICH MAY REQUIRE
3 ADDITIONAL REVIEW IS NOT A NEW ONE, BUT IT WAS
4 DEFEATED IN THE CONTEXT OF THE DISCUSSION FROM
5 SCRO'S AND WHETHER IT WOULD BE TOO MUCH WORK FOR
6 THEM TO DO IT AND WHETHER THEY'D BE QUALIFIED AND
7 ALL THAT SORT OF THING. FOR THAT KIND OF
8 ADMINISTRATIVE REASON, I'M NOT AWARE OF ANY PROGRESS
9 ON THE ISSUE OF THIS KIND OF GROWING CATEGORY.

10 I THINK I'M REALLY INFLUENCED BY YOUR
11 POINT, BERNIE, ABOUT SIMPLICITY. SO FOR IPS CELLS
12 ONE MIGHT THINK THAT CREATING CHIMERIC COMBINATIONS
13 OF IPS CELLS WOULD BE AS PROBLEMATIC POTENTIALLY AS
14 CHIMERIC USES OF EMBRYONIC STEM CELLS. AND SO IT
15 DOES SEEM TO ME THAT WE CAN SIMPLY PARALLEL THE
16 IDENTIFIABLE AND SIMPLE FAMILY OF THINGS WHICH
17 SCRO'S ARE ALREADY REVIEWING OR ABLE TO REVIEW WITH
18 RESPECT TO EMBRYONIC STEM CELLS AND TO TRACK THOSE
19 ON THE IPS SIDE. AND THOSE DO GO BEYOND SOME OF THE
20 NEUROLOGICAL AND THE GAMETE, BUT THEY'RE
21 IDENTIFIABLE AND THEY'RE WELL SETTLED AND OUGHT TO
22 BE UNCONTROVERSIAL, BUT IT IS A GAP THAT'S NOT
23 FILLED.

24 DR. LOMAX: JUST A QUICK TECHNICAL
25 COMMENT. SO THE DEFINITION OF COVERED STEM CELL

BARRISTERS' REPORTING SERVICE

1 LINE ATTEMPTS TO CREATE THAT PARALLELISM, IF YOU
2 WILL, THAT WOULD APPLY EITHER TO EMBRYONIC OR IPS.
3 SO WE GET THAT OUTCOME IN THE DEFINITION FOR THE
4 MOST PART. JUST TO REMIND FOLKS OF THAT.

5 DR. PAT TAYLOR: SO THIS, AT LEAST, WOULD
6 GIVE US SOMETHING THAT'S RATIONAL AND SIMPLE AND
7 CONSISTENT WITH THE PERCEIVED QUALIFICATIONS OF
8 SCRO'S TO DEAL WITH THIS MOTLEY SET OF USES. AT THE
9 SAME TIME, PEOPLE THINK HARD ABOUT SOME OF THE OTHER
10 QUESTIONS THAT ARE RAISED.

11 MR. SWEEDLER: IF I COULD JUST MAKE AN
12 IMPLEMENTATION OBSERVATION BECAUSE, AS SCOTT POINTED
13 OUT, THESE ARE LAW ONCE WE PROMULGATE THESE. SO IF
14 WE'RE GOING TO ASK A SCRO TO RECEIVE NOTIFICATION
15 AND THEREBY DECIDE WHETHER THERE'S SOMETHING THERE
16 THEY NEED TO ACT ON, IT'S REALLY INCUMBENT UPON US
17 TO TELL THEM THE BASIS ON WHICH THEY SHOULD DO THAT.
18 SO WE WOULD NEED TO GO, I THINK, BEYOND SAYING THERE
19 ARE POTENTIAL PROBLEMS THERE. WE SHOULD BE ABLE TO
20 WORK THROUGH, AT LEAST FOR GENERAL, EVEN IF NOT
21 EXHAUSTIVELY, THEN AT LEAST EXAMPLES OF WHAT THE
22 REASON IS.

23 AND THEN ANOTHER IMPLEMENTATION ISSUE, AND
24 MAYBE UTA OR PAT COULD SPEAK TO THIS BETTER THAN I.
25 MY IMPRESSION IS THAT THAT LAST CATEGORY IS SIMPLY A

BARRISTERS' REPORTING SERVICE

1 MUCH LARGER VOLUME THAN MANY OF THE OTHERS WE'VE
2 BEEN TALKING ABOUT. SO IT'S NOT THAT WE CAN'T
3 HANDLE THE WORKLOAD OR THAT THE ESCRO'S CAN'T
4 NECESSARILY, BUT DOES THAT HAVE THE IMPACT OF REALLY
5 DIVERTING THEIR TIME AND ATTENTION FROM THE THINGS
6 WHERE IT'S MOST IMPORTANT TO THINGS WHERE WE THINK
7 IT'S RELATIVELY UNLIKELY TO MAKE A DIFFERENCE? BUT,
8 AGAIN, I WOULD PREFER TO HAVE ONE OF OUR SCIENTISTS
9 TALK ABOUT JUST HOW COMMON THESE DIFFERENT THINGS
10 ARE IN OUR RESEARCH PORTFOLIO.

11 CHAIRMAN LO: IAN, MY IMPRESSION FROM
12 HAVING CHAIRED A SCRO IS THAT WE'RE SEEING MORE AND
13 MORE OF THESE IN VITRO USES OF DEIDENTIFIED.

14 MR. SWEEDLER: THERE'S A LIMITED RANGE OF
15 HESC LINES, AND THEY'RE BEING TRACKED. THERE'S JUST
16 NO -- THERE'S NOTHING COMPARABLE TO THAT WITH IPS.

17 DR. PRIETO: I GUESS THE REAL QUESTION IS
18 FOR THIS LARGE POOL OF MATERIAL, ARE THE REAL
19 ETHICAL QUESTIONS ALREADY ONES THAT WERE RAISED IN
20 THE DERIVATION PROCESS AND THE DEIDENTIFICATION
21 PROCESS THAT WE'VE TALKED ABOUT? IS THIS
22 DUPLICATION?

23 CHAIRMAN LO: PAT'S POINT WAS THAT HE
24 THINKS ACTUALLY THERE ARE ISSUES THAT REALLY HAVE TO
25 DO WITH USES THAT ARE DIFFERENT THAN THE ISSUES

BARRISTERS' REPORTING SERVICE

1 HAVING TO DO WITH IDENTIFIABILITY AND CONSENT FOR
2 DERIVATION. AND, IN FACT, THOSE ARE SOME OF THE
3 MORE COMPLEX ISSUES THAT MAY COME UP.

4 IAN, TO RESPOND TO YOUR POINT, WHAT I'M
5 HEARING IS NOT A WHOLE LOT OF SUPPORT FOR MOVING TO
6 NO NOTIFICATION FOR USE OF DEIDENTIFIED IPSC'S AT
7 THIS POINT UNLESS WE CAN COME UP WITH A BETTER WAY
8 OF SAYING FOR THIS SUBCATEGORY OF IPSC IN VITRO
9 RESEARCH, WHICH MAY, IN FACT, BE THE VAST MAJORITY,
10 IT'S SAFE. SO ABSENT THAT KIND OF SPECIFICATION, I
11 THINK PEOPLE ARE CONCERNED. BUT WE MAY SAY LET'S
12 TRY AND GO BACK TO THE INSTITUTIONS AND SAY CAN YOU
13 HELP US TO COME UP WITH A DEFINITION OF IN VITRO
14 STEM CELL RESEARCH THAT DOESN'T REQUIRE NOTIFICATION
15 AND BY INFERENCE LEAVES OPEN FOR NOTIFICATION
16 POTENTIAL OTHER REVIEWS, OTHER SENSITIVE TOPICS.

17 THE OTHER THING, I GUESS, IS, PAT, I HEARD
18 YOU SUGGESTING THAT MAYBE WE SHOULD TRY AND BE
19 PROACTIVE AND ON THE OTHER SIDE SAY, AT LEAST AS AN
20 EDUCATIONAL ENDEAVOR, LET'S TRY AND IDENTIFY SOME
21 SENSITIVE USES OF IPSC'S IN VITRO. AND IN THE UK
22 THE ACADEMY OF MEDICAL SCIENCE HAS COMMISSIONED A
23 PANEL THAT MARTIN BOBROW CHAIRED AND WAS WRITTEN UP
24 AND THEY SUMMARIZED THEIR FINDINGS IN *LANCET* WHICH
25 REALLY PUT EXACTLY THEIR FINGER ON THESE CHIMERIC

BARRISTERS' REPORTING SERVICE

1 ISSUES AND SAY A LOT OF THEM ARE NONPROBLEMATIC, AND
2 SOME ACTUALLY ARE OF CONCERN. AND THEY ACTUALLY
3 CALLED FOR A NATIONAL REVIEW IN THE UK. THEY
4 ELECTED TO DO THE NATIONAL REVIEW.

5 MAYBE WE CAN, GEOFF, SORT OF TRY AND PULL
6 TOGETHER SORT OF OTHER AREAS WHERE PEOPLE HAVE
7 IDENTIFIED SENSITIVE USES OF IN VITRO REPROGRAMMED
8 CELLS, AGAIN, NOT CHANGING THE REGULATION, BUT SORT
9 OF MOVING AHEAD AT LEAST ON AN EDUCATIONAL WORKSHOP
10 FORMAT AND MAKING SURE WE'RE UP TO DATE ON WHAT
11 PEOPLE ELSEWHERE ARE THINKING.

12 DR. PAT TAYLOR: YOU'RE BEING
13 CHARACTERISTICALLY MODEST, BERNIE. THERE'S A 2009
14 PAPER, YOU WERE THE SENIOR AUTHOR, WHICH IS A
15 DEFINITIVE LIST OF SENSITIVE USES. IT WAS
16 PROPHETIC, SO IT HASN'T CHANGED. I ACTUALLY READ
17 YOUR WORK.

18 CHAIRMAN LO: THANK YOU. BUT I'M HEARING
19 THAT WE DON'T WANT TO MOVE TO NO NOTIFICATION FOR
20 THAT LAST LINE. IN FACT, WE'RE THINKING OF SOME
21 CREATIVE THINKING TO IDENTIFY LOW PROBABILITY, BUT
22 HIGH SIGNIFICANCE ISSUES. BUT FOLLOWING IAN'S
23 POINT, YOU NEED TO REALLY SPECIFY WHAT THOSE ARE AND
24 WHY THEY'RE OF CONCERN. AND I THINK, GEOFF, THAT
25 MAY HELP US OUT, BUT IT SEEMS TO ME THAT MAY BE RIPE

BARRISTERS' REPORTING SERVICE

1 FOR COMMENT AND FEEDBACK FROM RESEARCHERS AND
2 INSTITUTIONS AND THE PUBLIC, FOR THAT MATTER, AS
3 WELL AS PERHAPS A THEME FOR US AS A COMMITTEE TO
4 DEAL WITH AT A FUTURE SESSION.

5 DR. LOMAX: SO IF I'M SUMMARIZING THIS
6 JUST FOR MOVING FORWARD, SO THE CONCEPT OF THE
7 NOTIFICATION, ADDING NOTIFICATION AS AN OPTION BOTH
8 HERE AND HERE IS VIEWED FAVORABLY. WE'RE NOT GOING
9 TO ELIMINATE THE NOTIFICATION REQUIREMENT HERE OR
10 HERE.

11 MR. SWEEDLER: GEOFF, FOR THE TRANSCRIPT,
12 COULD YOU --

13 CHAIRMAN LO: JUST SAY WHICH LINES, LINES
14 3 AND 5.

15 DR. LOMAX: LET ME JUST STATE IT CLEARLY
16 FOR THE RECORD. SO FOR NEW IPS DERIVATION WITH
17 IDENTIFIABLE SOMATIC CELLS, IT WAS THE SENSE OF THE
18 COMMITTEE THAT IT IS OKAY TO ADD THE INSTITUTIONAL
19 OFFICIAL AS A NOTIFICATION OPTION. AND THE SAME
20 NOTIFICATION REQUIREMENT WOULD APPLY TO USE OF
21 IDENTIFIABLE IPSC CELLS, THAT IT'S OKAY TO INCLUDE
22 THE INSTITUTIONAL OFFICIAL AS AN OPTION.

23 FOR NEW DERIVATION OF IPSC'S WITH
24 DEIDENTIFIED SOMATIC CELLS, WE WANT TO RETAIN THE
25 NOTIFICATION REQUIREMENT.

BARRISTERS' REPORTING SERVICE

1 AND FOR USE OF DEIDENTIFIED IPSC'S, WE'D
2 LIKE TO RETAIN THE NOTIFICATION REQUIREMENT OF BOTH
3 THE SCRO OR THE INSTITUTIONAL OFFICIAL IN BOTH OF
4 THE LATTER CASES.

5 CHAIRMAN LO: I THINK THAT'S RIGHT. AND
6 WITH ALL THE COMMENTS ABOUT EDUCATION AND OUTREACH
7 AND THINGS LIKE THAT.

8 OKAY. LET'S ALL TAKE A BIG DEEP BREATH.
9 CAN WE GO WITHOUT A FORMAL BREAK? TIME IS FLYING
10 AND WE ACTUALLY HAVE A LOT OF INTERESTING THINGS TO
11 TALK ABOUT ON THE SECOND PAGE OF YOUR AGENDA, NO. 5,
12 THE CIRM IPSC BANKING INITIATIVE. AND THERE ARE A
13 COUPLE THINGS WE WANT TO DO HERE.

14 DR. LOMAX: BERNIE, JUST BRIEFLY BEFORE WE
15 MOVE ON, BECAUSE I KNOW JON THOMAS' TIME IS LIMITED,
16 BUT I KNOW THERE WAS AN INTEREST IN JUST GIVING YOU
17 SOME COMMENTS ON THE INSTITUTE OF MEDICINE REVIEW
18 THAT'S GOING ON. AND ELLEN FEIGAL AND JON THOMAS, I
19 THINK, ARE AVAILABLE WHILE WE HAVE TIME.

20 CHAIRMAN LO: AGAIN, BECAUSE THIS IS AN
21 ONGOING ACTIVITY AND THE IOM HASN'T ISSUED ITS
22 REPORT, SO JUST SORT OF AN UPDATE AND ANY COMMENTS.

23 DR. FEIGAL: I'LL KEEP IT REALLY BRIEF.
24 SO THE INSTITUTE OF MEDICINE ACTUALLY WAS CONVENED
25 AT THE REQUEST OF CIRM TO REALLY PROVIDE AN

BARRISTERS' REPORTING SERVICE

1 INDEPENDENT ASSESSMENT OF OUR PROGRAMS, OPERATIONS,
2 STRATEGIES, AND PERFORMANCE SINCE THE INCEPTION OF
3 CIRM. AND SPECIFICALLY THE IOM IS REVIEWING AND
4 ADDRESSING OUR INITIAL PROCESSES, WHAT CAN BE
5 LEARNED FROM OUR HISTORY AND PROCESS OF BUILDING
6 CONSENSUS IN THE PUBLIC AND SCIENTIFIC COMMUNITIES
7 TO SUPPORT THE WORK OF CIRM, OUR PROGRAMMATIC AND
8 SCIENTIFIC SCOPE. DO WE HAVE THE PORTFOLIO OF
9 PROJECTS AND GRANTS, OPPORTUNITIES THAT ARE
10 NECESSARY TO MEET OUR SCIENTIFIC GOALS? HOW COULD
11 WE IMPROVE UPON WHAT WE DO? AND OUR ORGANIZATION
12 AND MANAGEMENT SYSTEMS, DO THEY HAVE THE LEVEL OF
13 TRANSPARENCY AND THE LEVEL OF STAKEHOLDER AND
14 SCIENTIFIC COMMUNITY INVOLVEMENT NEEDED TO MEET OUR
15 PUBLIC RESPONSIBILITIES AND SCIENTIFIC GOALS? OUR
16 FUNDING MODEL, THE IMPACT OF OUR WORK OF THE
17 INSTITUTE, AND WHAT ARE THE ADVANTAGES FOR COVERING
18 LONG-TERM COSTS OF MEDICAL RESEARCH? COULD ASPECTS
19 OF OUR MODEL SERVE AS A PARADIGM FOR OTHER STATES OR
20 COUNTRIES? AND ALSO THEY'LL BE LOOKING AT SOME OF
21 OUR IP POLICIES, INTELLECTUAL PROPERTY POLICIES, AND
22 STRENGTHS AND WEAKNESSES OF THEM.

23 BUT THE PRINCIPAL OBJECTIVE OF THE IOM
24 REVIEW IS TO REALLY ENSURE THAT ALL ASPECTS OF OUR
25 OPERATIONS ARE FUNCTIONING AT PEAK PERFORMANCE. AND

BARRISTERS' REPORTING SERVICE

1 THEY'RE ALSO ASKED TO MAKE RECOMMENDATIONS REGARDING
2 SHORT, MEDIUM, AND LONG-TERM ACTIONS THAT CAN REALLY
3 IMPROVE THE PERFORMANCE OF OUR INSTITUTE. SO THAT'S
4 AN OVERALL PLAN. AND, J.T., YOU MAY WANT TO ADD
5 SOME ADDITIONAL PERSPECTIVES.

6 CHAIRMAN THOMAS: THEY'RE UNDERTAKING A
7 HIGHLY COMPREHENSIVE REVIEW. THEY HAVE IMPANELED A
8 NUMBER OF EXPERTS FROM AROUND THE COUNTRY. WE HAVE
9 GOTTEN ANY NUMBER OF INFORMATION REQUESTS TO INFORM
10 THEIR INQUIRY, WHICH WE'VE GONE SORT OF PIECE BY
11 PIECE TO RESPOND TO. THEY'VE HAD A PUBLIC SESSION
12 LAST JANUARY UP HERE IN SAN FRANCISCO WHERE A NUMBER
13 OF MEMBERS OF CIRM AND SOME PI'S AND OTHERS
14 TESTIFIED. JEFF TESTIFIED, ELLEN, I DID, ALAN DID,
15 DUANE DID. AND THEY HAVE A SECOND PUBLIC MEETING
16 ACTUALLY COMING UP NEXT WEEK. IF ANY OF YOU GUYS
17 HAPPEN TO BE IN IRVINE ON TUESDAY AND FIND YOURSELF
18 WITH NOTHING BETTER TO DO, IT SHOULD BE QUITE AN
19 INTERESTING SESSION.

20 THEY WILL THEN GO INTO A CLOSED SESSION ON
21 THE FOLLOWING DAY AND WILL BE DELIBERATING OVER THE
22 COURSE OF THE COMING MONTHS. WE EXPECT THAT THE
23 REPORT, FOLLOWING A 14-MONTH PROCESS, WILL BE
24 DELIVERED TO US IN DECEMBER OF THIS YEAR. AND WE
25 LOOK FORWARD TO THE INSIGHT AND UNDOUBTEDLY MANY

BARRISTERS' REPORTING SERVICE

1 RECOMMENDATIONS THAT THE IOM BRINGS TO US AS A
2 RESULT OF THEIR REVIEW. SO WE THINK THIS IS A VERY
3 GOOD UNDERTAKING. PARTICULARLY WE'RE SORT OF AT THE
4 MIDPOINT OF OUR FUNDING CYCLE HERE. AND TO HAVE
5 THEM REVIEW ALL ASPECTS IS SOMETHING THAT SHOULD BE
6 VERY HELPFUL.

7 I'LL NOTE ONE OF THE THINGS THEY'RE
8 REVIEWING, WHICH IS KEY, IS EVERY FEW YEARS WE
9 REVISE OUR STRATEGIC PLAN. AND ELLEN HAS TAKEN THE
10 LABORING OAR AND DONE A GREAT JOB WITH PAT ON THAT.
11 AND THE TIMING OF THAT STRATEGIC PLAN REVIEW WAS
12 REALLY TO COINCIDE WITH THEIR LARGER REVIEW. AND SO
13 WE ANTICIPATE SOME INTERESTING FEEDBACK ON THAT AS
14 WELL.

15 SO A VERY COMPREHENSIVE, VERY
16 COMPREHENSIVE PROCESS, AND WE'RE LOOKING FORWARD TO
17 HEARING WHAT THEY HAVE TO SAY.

18 CHAIRMAN LO: GREAT. THANKS, ELLEN AND
19 JON. JON, IF YOUR TIME IS LIMITED, IS THERE
20 ANYTHING YOU WANT TO SAY WITH REGARD TO EITHER WHAT
21 WE JUST TALKED ABOUT WITH THE REGULATION REVISIONS
22 OR WHAT'S COMING UP NEXT WITH THE BANKING
23 INITIATIVE?

24 CHAIRMAN THOMAS: I'D JUST LIKE TO SAY
25 THAT THIS IS A MOST IMPRESSIVE PANEL AND DISCUSSION.

BARRISTERS' REPORTING SERVICE

1 AND IN TERMS OF THE SUBSTANCE, YOU FOLKS ARE ALL THE
2 EXPERTS ON THAT. AND IT'S BEEN A VERY INTERESTING
3 THING TO HEAR THE GIVE-AND-TAKE BACK AND FORTH. WE
4 GREATLY APPRECIATE YOU SPENDING THE TIME, AND IT
5 JUST DEMONSTRATES, AGAIN, THE HIGH LEVEL OF INPUT
6 THAT ALL OF YOU HAVE. SO I THINK THAT GETTING TO A
7 MEASURED RESULT, AS YOU HAVE, FOLLOWING EXTENSIVE
8 CONVERSATION IS GREAT, AND IT'S EXACTLY WHAT THE
9 DOCTOR ORDERED, AS IT WERE. WE LOOK FORWARD TO
10 HEARING THOUGHTS ON THE IPS CELL BANK COMING UP.

11 CHAIRMAN LO: GREAT. WITH THAT, LET'S
12 TURN OUR ATTENTION TO THAT. AND THERE ARE TWO
13 THINGS WE WANT TO DO. FIRST, WE JUST WANT TO UPDATE
14 THE SWG ON THE STATUS OF THE INITIATIVE, AND I WANT
15 TO KIND OF REALLY KEEP THAT TO AN UPDATE, IF WE CAN,
16 BECAUSE I REALLY WANT TO FOCUS ON THE CONSENT
17 RECOMMENDATIONS, THE MODEL CONSENT PROCESS THAT
18 GEOFF AND OTHERS HAVE DEVELOPED AND THAT WE TALKED
19 ABOUT IN OUR APRIL 2011 SWG MEETING.

20 SO WHAT I'D LIKE TO DO IS FIRST HAVE JUST
21 A SUMMARY OF THE FORTHCOMING REQUEST FOR
22 APPLICATIONS WITH REGARD TO THE STEM CELL BANKING
23 AND THEN SOME BACKGROUND ON THE IMPLEMENTATION OF
24 THE CIRM CONSENT RECOMMENDATIONS, REQUIREMENTS FOR
25 IPSC DERIVATION FROM DR. SCHUELE OF THE PARKINSON'S

BARRISTERS' REPORTING SERVICE

1 INSTITUTE. AND THEN FOR US TO REALLY TALK ABOUT THE
2 MODEL CONSENT RECOMMENDATIONS. DO WE HAVE ANYTHING
3 TO REVISE OR ADD, OR DO WE WANT TO RECOMMEND THAT
4 THE ICOC APPROVE THEM?

5 I ALSO WANT TO MAKE SURE WE HAVE TIME FOR
6 NICOLE TO TALK TO US ABOUT THIS VERY IMPORTANT AND
7 DIFFICULT ISSUE, RETURN OF RESULTS. WE'RE ASSUMING
8 WE GET THE MATERIALS, DO THE IPSC BANK, AND GET A
9 LOT OF INTERESTING RESULTS. WHAT ARE WE GOING TO DO
10 ABOUT RETURNING RESULTS TO THE DONORS GIVEN WHAT
11 JOHN WAGNER SAID ABOUT A LOT OF THESE DONORS REALLY
12 WANT TO KNOW? UTA, WHY DON'T YOU START US OFF WITH
13 THE INITIATIVE THAT CIRM IS GOING TO DO HERE.

14 DR. GRIESHAMMER: I'M JUST GOING TO GIVE
15 YOU A VERY BRIEF OVERVIEW OF THE INITIATIVE. AS
16 MYSELF, I'M A SCIENCE OFFICER AT CIRM WHO TOGETHER
17 WITH MY COLLEAGUE, SOHEL TALIB, ARE LEADING TO
18 RELEASE THIS SET OF RFA'S FOR OUR IPS CELL BANKING
19 INITIATIVE. I'LL JUST GIVE YOU A BRIEF UPDATE WHERE
20 WE STAND WITH THAT OR WHAT ITS PURPOSE IS.

21 SO THE GOAL REALLY IS FOR CIRM TO CREATE
22 AN IPS CELL RESOURCE THAT WILL BE AVAILABLE
23 WORLDWIDE FOR PEOPLE TO STUDY THE DISEASES THAT WILL
24 BE INCLUDED IN THIS BANK. I JUST WANT TO POINT OUT,
25 AS YOU ALL KNOW, TO CREATE SUCH A BANKING SITUATION,

BARRISTERS' REPORTING SERVICE

1 OBVIOUSLY YOU NEED TO COLLECT TISSUES, YOU NEED TO
2 DERIVE IPS CELLS, AND THEN YOU NEED TO BANK THEM,
3 AND RELIABLY DISTRIBUTE THEM TO THE RESEARCH
4 COMMUNITY. AND TO CREATE THIS RESOURCE IS THE
5 PURPOSE OF THE CURRENT IPS INITIATIVE.

6 ONCE THIS RESOURCE HAS BEEN CREATED, THE
7 HOPE IS THAT IT WILL BE WIDELY USED WORLDWIDE INDEED
8 FOR RESEARCHERS TO STUDY DISEASE MECHANISMS THAT
9 HAVEN'T BEEN DISCOVERED YET, TO USE THESE CELLS FOR
10 TARGET DISCOVERY THAT CAN BE USED TO INFORM FUTURE
11 DRUG DEVELOPMENT FOR THE DISEASES THAT ARE INCLUDED
12 IN THIS BANK, AND THEN ALSO POSSIBLY TO USE THE
13 CELLS ACTUALLY FOR SCREENING OF COMPOUND LIBRARIES
14 TO DISCOVER AND DEVELOP DRUGS.

15 THIS INITIATIVE HAS BEEN ACTUALLY IN THE
16 MAKING FOR QUITE A WHILE. I JUST WANT TO GIVE
17 CREDIT INDEED TO THIS GROUP THAT HAS ALREADY DEALT,
18 AND WE'RE GOING TO TALK MORE ABOUT, WITH THE ISSUES
19 SURROUNDING CREATING SUCH A LARGE RESOURCE. WE ALSO
20 HAD A SCIENTIFIC WORKSHOP A WHILE AGO TO ADDRESS OR
21 TO GET INPUT FROM THE SCIENTIFIC COMMUNITY AS TO
22 WHAT THE NEEDS ARE IN THE COMMUNITY FOR SUCH A BANK.

23 WE'VE INTERACTED WITH THE NIH MORE
24 RECENTLY BOTH AT THE SCIENTIFIC AS WELL AS POLICY
25 CONSIDERATION LEVEL TO MAKE THE BEST BANK THAT WE

BARRISTERS' REPORTING SERVICE

1 CAN MAKE. AND IN THIS CONTEXT ACTUALLY, THE FIRST
2 PORTION REALLY OF THIS INITIATIVE, CIRM AND THE NIH
3 THROUGH THE INSTITUTE FOR NEUROLOGICAL DISORDERS AND
4 STROKE HAS ACTUALLY ENTERED INTO A COLLABORATION
5 WHERE WE ARE ALREADY NOW CO-FUNDING A PROJECT WHERE
6 IPS CELLS ARE GENERATED FOR HUNTINGTON'S DISEASE,
7 PARKINSON'S DISEASE, AND ALS TO STUDY THESE
8 NEURODEGENERATIVE DISEASES. SO THOSE DISEASES ARE
9 ALREADY, IN EFFECT, COVERED THROUGH A COLLABORATION
10 NOW BETWEEN CIRM AND THE NIH.

11 NOW GOING FORWARD, WE NOW WILL BE
12 RELEASING ACTUALLY A SET OF THREE RFA'S THAT WILL BE
13 CO-RELEASED IN MID-MAY HOPEFULLY WHERE WE WILL
14 TACKLE -- OR ASK FOR APPLICATIONS FOR PEOPLE TO
15 TACKLE INDIVIDUALLY THESE THREE ASPECTS THAT WILL
16 LEAD TO THE GENERATION OF A HIGH QUALITY RESOURCE OF
17 IPS CELLS.

18 AND THE FIRST RFA WILL BE FUNDING AWARDS
19 WHERE CLINICIANS AND STEM CELL SCIENTISTS
20 COLLABORATE TO IDENTIFY DISEASES THAT THEY THINK
21 SHOULD BE INCLUDED IN THIS IPS CELL BANK. THE
22 DELIVERABLE OUT OF THESE AWARDS WILL BE INDEED THE
23 TISSUES THAT WILL BE COLLECTED FROM THE
24 PARTICIPATING PATIENTS AND CONTROL INDIVIDUALS.
25 THOSE TISSUES WILL BE PROVIDED TO A DIFFERENT

BARRISTERS' REPORTING SERVICE

1 ENTITY, A SINGLE ENTITY, IN FACT, WHO WILL BE
2 AWARDED THE IPSC CELL DERIVATION AWARD. AND THE JOB
3 OF THAT ENTITY WILL BE TO USE THESE TISSUES THAT
4 WERE COLLECTED HERE AND USE A STANDARD PROCEDURE TO
5 DERIVE HIGH QUALITY IPS CELLS UNDER STANDARD
6 OPERATING PROCEDURES.

7 AND THEN, FINALLY, ONCE THESE IPS CELLS
8 HAVE BEEN DERIVED, THEY WILL BE HANDED OVER TO A
9 PLURIPOTENT CELL BANK FOR BANKING AND WORLDWIDE
10 DISTRIBUTION.

11 JUST TO VERY BRIEFLY GIVE YOU A LITTLE BIT
12 MORE DETAIL FOR EACH OF THESE RFA'S, WHAT'S
13 IMPORTANT FOR THE DISEASE MODELING AWARDS, WHICH
14 REALLY ARE ABOUT IDENTIFYING THE PATIENT POPULATIONS
15 FROM WHOM THE TISSUES WILL BE COLLECTED, WE WILL
16 FOCUS THIS SET OF AWARDS ON PREVALENT, GENETICALLY
17 COMPLEX DISEASES. SO THIS IS VERY DIFFERENT FROM
18 SOME OF THE MAIN IPS DISEASE MODELING EFFORTS THAT
19 HAVE BEEN PUBLISHED SO FAR WHERE PEOPLE HAVE
20 CONCENTRATED ON MONOGENIC DISEASES, HIGHLY PENETRANT
21 MONOGENIC DISEASES, AND CIRM REALLY HAS THE VISION
22 TO NOW MOVE THE FIELD EVEN FURTHER FORWARD AND START
23 TO PROVIDE A RESOURCE WHERE PEOPLE CAN GO AFTER
24 REALLY PREVALENT, BUT GENETICALLY COMPLEX DISEASES
25 USING THIS AWARD.

BARRISTERS' REPORTING SERVICE

1 AND THE GOAL IS TO PROVIDE FUNDS TO
2 COLLECT SAMPLES FROM ABOUT 1200 INDIVIDUALS.
3 THE CELL SAMPLES, THEN, FROM THESE 1200 INDIVIDUALS,
4 AS I SAID, WILL BE THEN DERIVED BY USING A SINGLE
5 DERIVATION METHOD BY THE RECIPIENT OF THE SECOND
6 AWARD; WHEREAS, THE RECIPIENT OF THE THIRD AWARD
7 WILL BANK AND DISTRIBUTE THESE LINES. THE RECIPIENT
8 OF THIS AWARD WILL ALSO BE CHARGED TO BANK
9 ADDITIONAL PLURIPOTENT STEM CELL LINES THAT HAVE
10 ALREADY BEEN AND ARE BEING GENERATED IN CALIFORNIA.

11 THE "I" HAS BEEN DROPPED HERE BECAUSE, AS
12 ELLEN MENTIONED EARLIER, THE GOAL IS ACTUALLY HERE
13 TO CAPTURE HIGH QUALITY LINES GENERATED IN
14 CALIFORNIA, NOT ONLY IPS CELL LINES, BUT ALSO
15 EMBRYONIC STEM CELL LINES THAT HAVEN'T BEEN BANKED
16 YET AND, THEREFORE, ARE MAYBE NOT AS EASILY
17 AVAILABLE AND HIGH QUALITY AVAILABLE TO OTHERS IN
18 THE WORLD. AND THESE WOULD ALSO INCLUDE, FOR
19 INSTANCE, LINES THAT HAVE BEEN GENERATED FROM
20 EMBRYOS THAT WERE IDENTIFIED TO CARRY GENETIC
21 DISEASES SUCH AS MARPHAN'S DISEASE AND HUNTINGTON'S
22 DISEASE.

23 NOW, MY FINAL SLIDE, I JUST WANT TO POINT
24 OUT THAT THE GOAL OR REALLY REEMPHASIZE THAT THE
25 GOAL OF THIS PLURIPOTENT STEM CELL BANK IS INDEED TO

BARRISTERS' REPORTING SERVICE

1 PROVIDE A VERY HIGH QUALITY RESOURCE WORLDWIDE FOR
2 RESEARCHERS AND DRUG DEVELOPERS TO MODEL DISEASES,
3 DO TARGET DISCOVERY, AND EVEN DISCOVER NEW DRUGS IN
4 PREVALENT DISEASES WHERE THERE IS A REAL NEED AND
5 POTENTIAL FOR GREAT IMPACT FOR DISEASE MITIGATION.
6 BUT I DO WANT TO POINT OUT HERE THAT, IN ADDITION TO
7 THINKING ABOUT THE POTENTIAL SCIENTIFIC UTILITY OF
8 ALL THESE IPS CELL LINES AND OTHER PLURIPOTENT CELL
9 LINES AND THE HIGH QUALITY OF THESE LINES, AS WE'RE
10 THINKING ABOUT THE SET OF RFA'S, AS YOU KNOW, WE'VE,
11 OF COURSE, TAKEN INTO ACCOUNT WORK FROM THIS GROUP
12 ABOUT TISSUE DONOR CONSENT, AND WE'LL TALK MORE
13 ABOUT THAT. WE ARE VERY INTERESTED IN TERMS OF
14 REALLY MAKING THIS RESOURCE AS VALUABLE TO FUTURE
15 DISEASE MODELING AND DRUG DISCOVERY TO INCLUDE AS
16 MUCH MEDICAL INFORMATION ON THE TISSUE DONORS TO
17 INFORM THE IN VITRO STUDIES OF DISEASE PHENOTYPES.

18 WE'RE ALSO CONCERNED ABOUT THINGS LIKE
19 WE'RE TRYING TO ADDRESS AHEAD OF TIME ISSUES
20 SURROUNDING FREEDOM TO OPERATE. THE IPS INVENTIONS
21 WERE RELATIVELY RECENT. THEY'RE BEING PATENTED, AND
22 WE ARE TRYING TO UNDERSTAND HOW THIS WOULD INFLUENCE
23 FUTURE USES OF THESE CELL LINES. AND SIMILARLY, WE
24 ARE THINKING ABOUT WHAT KINDS OF MATERIAL TRANSFER
25 AGREEMENTS, FOR INSTANCE, WE WOULD TRY TO IMPLEMENT

BARRISTERS' REPORTING SERVICE

1 SO THAT THERE IS -- CERTAINLY THAT THE INTELLECTUAL
2 INPUT THAT CAME INTO THIS BANK IS PROPERLY
3 ACKNOWLEDGED, BUT DOESN'T INHIBIT THE USE OF THESE
4 CELLS FOR DRUG DEVELOPMENT IN THE FUTURE EITHER.

5 SO IT'S A VERY COMPLEX PROJECT OBVIOUSLY.
6 HAPPY TO ANSWER ANY QUESTIONS YOU HAVE RIGHT NOW.

7 DR. LOCKHART: JUST TO MAKE SURE I
8 UNDERSTAND, ARE THESE GOING TO BE -- WILL THE THREE
9 DIFFERENT TYPES OF GRANTS BE OPERATING ALL AT THE
10 SAME TIME? THEY'LL BE RELEASED TOGETHER AND FUNDED
11 TOGETHER?

12 DR. GRIESHAMMER: YES.

13 DR. LOCKHART: I WAS JUST WONDERING,
14 LOOKING AT THE TIMELINES, IF THE '03 DERIVATION
15 AWARD IS A THREE-YEAR AWARD AND THE BANK IS
16 OPERATING AT THE SAME TIME, WILL THE BANK BE OUT OF
17 FUNDING JUST WHEN THE NEW LINES ARE DERIVED?

18 DR. GRIESHAMMER: THAT'S A GOOD QUESTION.
19 SO WE ACTUALLY -- AND OBVIOUSLY TO REALLY COORDINATE
20 THESE THREE ITEMS WILL BE QUITE TRICKY. AND ONE
21 REQUIREMENT REALLY OF RECEIVING THESE AWARDS, AFTER
22 OUR BOARD HAS APPROVED THE FUNDING, BUT PRIOR TO
23 RELEASING THE MONEY, WE'RE ACTUALLY GOING TO MAKE
24 SURE THAT ALL THE RECIPIENTS OF ALL THESE AWARDS
25 COME TOGETHER IN A MEETING AND DISCUSS THE LOGISTICS

BARRISTERS' REPORTING SERVICE

1 OF THIS. THERE'S ACTUALLY A LOT OF INFORMATION THAT
2 HAS TO MOVE BETWEEN, FOR INSTANCE, THE DERIVER WILL
3 DETERMINE THE TISSUE COLLECTION PROTOCOL, WHICH THE
4 TISSUE COLLECTOR WILL HAVE TO EXECUTE. SO THERE ARE
5 COMPLEXITIES LIKE THIS.

6 BUT YOU DO MAKE A GOOD POINT, AND WE'LL
7 HAVE TO THINK ABOUT TO MAKE SURE THAT THERE ARE
8 CONTINGENCIES FOR DELAYS IN ONE AWARD, THAT IT
9 DOESN'T RUIN THE CHANCES FOR THE LAST AWARD TO BE
10 FULLY EXECUTED.

11 DR. LOCKHART: YOU WOULDN'T WANT THE BANK
12 TO RUN THROUGH MOST OF THEIR MONEY WAITING AROUND.
13 AND ON THE OTHER HAND, IF YOU MADE AWARD AND THEN
14 DELAYED -- YOU SELECTED YOUR GRANTEE, DELAYED GIVING
15 THEM THE MONEY FOR A YEAR BECAUSE THEY DIDN'T HAVE
16 ANYTHING TO DISTRIBUTE, THAT'S NOT GOING TO MAKE
17 THEM HAPPY. SO JUST TRYING TO BALANCE OPERATIONALLY
18 HOW THAT NEEDS TO WORK.

19 AND THEN ALSO THINKING ABOUT OUT-YEAR
20 FUNDING. THREE YEARS IS NOT VERY LONG IN THE LIFE
21 OF A BIOBANK. SO HOW YOU WOULD HANDLE OUT YEARS AND
22 ALSO THINKING ABOUT LEGACY ISSUES IN TERMS OF THE
23 COLLECTION, MAKING SURE ALL OF THAT'S IN PLACE,
24 MAKING SURE EVERYTHING IS REALLY WELL DOCUMENTED IN
25 THE EVENT THAT YOU DID NEED TO TRANSFER THE

BARRISTERS' REPORTING SERVICE

1 COLLECTION AND THAT YOU HAVE WRITTEN INTO AGREEMENTS
2 WITH THE SITE THAT THEY WILL BE RESPONSIBLE FOR
3 OVERSEEING THAT PROCEDURE SHOULD ANYTHING NEED TO
4 HAPPEN?

5 DR. GRIESHAMMER: THE RFA'S WILL BE QUITE
6 DETAILED IN TERMS OF REQUIREMENTS. MUCH UNLIKE
7 OTHER RFA'S, WHICH ARE REALLY RESEARCH DRIVEN, WE
8 ACTUALLY HAVE A LIST OF THESE ARE THE REQUIRED
9 ACTIVITIES FOR THE RECIPIENTS. AND WE ARE, FOR
10 INSTANCE -- BANKS OFTEN DO HAVE -- WE'RE HOPING, OF
11 COURSE, THAT THE BANK WILL BE LONG-TERM SUSTAINABLE,
12 AND WE WILL ASK FOR A SUSTAINABILITY PLAN, AND
13 ULTIMATELY THEY'LL BE ABLE TO SELL, HOPEFULLY AT
14 LEAST, SOME OF THESE LINES AND THEREBY MAINTAIN THE
15 OPERATION.

16 AS A MATTER OF FACT, IT'S A LITTLE BIT
17 UNUSUAL FOR A BANK TO GET REALLY GOOD FUNDING TO
18 START GETTING EVERYTHING IN PLACE, BUT OBVIOUSLY WE
19 ARE EXPECTING THAT THEY WILL BE SELLING LINES FOR
20 HOPEFULLY A LONG TIME TO COME.

21 DR. FEIGAL: I JUST WANTED TO ADD YOUR
22 CONCERNS ARE THE SAME AS WE HAD ABOUT THE TIMING.
23 SO, FRANKLY, WE'VE DONE A LOT OF THOUGHT ABOUT HOW
24 TO COORDINATE IT SO THAT THINGS ARE -- WHEN THINGS
25 ARE READY TO BE DEPOSITED, THERE OBVIOUSLY IS GOING

BARRISTERS' REPORTING SERVICE

1 TO BE A REPOSITORY TO DO SO. ALSO THERE ARE ALREADY
2 DERIVED LINES THAT ARE READY TO GO IN NOW. SO WE
3 THINK IT WILL SATISFY THAT PURPOSE.

4 AS UTA MENTIONED, THERE IS DEFINITELY A
5 SUSTAINABILITY PLAN BECAUSE WE DO WANT THIS TO BE A
6 LONG-LASTING RESOURCE. WE DO RECOGNIZE THAT WE
7 DON'T WANT TO START SOMETHING THAT HAS THE
8 VULNERABILITY OF NOT HAVING LONG-TERM POTENTIAL. SO
9 THAT'S DEFINITELY PART OF THE REVIEW CRITERIA.

10 DR. ROBERTS: I HAVE A QUESTION. AT THE
11 PRIOR END OF IT, THESE PARTLY ETHICAL ISSUES THAT
12 YOU RAISE ABOUT TISSUE DONOR CONSENT, MEDICAL
13 INFORMATION, WHICH RAISES ALL SORTS OF ETHICAL --
14 EACH OF THEM HAS A SET OF ETHICAL CONCERNS THAT
15 PROBABLY SHOULD BE RESOLVED PRIOR TO THE BEGINNING
16 OF TISSUE COLLECTION. AND I JUST WONDERED IF THAT
17 WAS PART OF THE TIMING CONSIDERATION AS WELL.

18 DR. GRIESHAMMER: SO I THINK WE WILL
19 BE -- FIRST, I SHOULD SAY THERE IS THE UTILITY OF
20 THE BANK VERSUS THE ETHICAL ISSUES SURROUNDING THE
21 DONORS.

22 DR. ROBERTS: EXACTLY.

23 DR. GRIESHAMMER: AND SO THE MODEL CONSENT
24 FORM THAT WAS REALLY DEVELOPED BASED ON THE
25 DELIBERATIONS OF THIS COMMITTEE IS WHAT IS BEING --

BARRISTERS' REPORTING SERVICE

1 ACTUALLY WILL BE PROVIDED IN THIS RFA AS AN EXAMPLE
2 THAT BOTH SATISFIES CIRM'S REQUIREMENTS AND THE
3 REQUIREMENTS OF THE RFA. I'M SURE THERE WILL BE
4 SOME MORE TALK ABOUT IT.

5 IN TERMS OF THE UTILITY OF THE BANK FOR
6 DOWNSTREAM USE AND PERHAPS DRUG DISCOVERY IN THE
7 FUTURE, AS BROAD A CONSENT, NOT FOR SEEING POTENTIAL
8 USES, BUT ALLOWING THEM WOULD BE, OF COURSE, IDEAL.

9 DR. ROBERTS: IT WILL BE PART OF THE RFA
10 THEN.

11 DR. FEIGAL: YES. I JUST WANT TO SAY LAST
12 YEAR THIS COMMITTEE, WE NOW HAVE NEW MEMBERS,
13 ACTUALLY THIS WAS A BIG TOPIC OF DISCUSSION AT LAST
14 YEAR'S. AND ALSO WE'VE BEEN WORKING ACTIVELY WITH
15 THE NATIONAL INSTITUTES OF HEALTH TO MAKE SURE WE
16 HARMONIZE OUR INFORMED CONSENT SO THAT WHAT WE DO
17 CAN BE HARMONIZED ON A NATIONAL LEVEL AS WELL.

18 CHAIRMAN LO: DOROTHY, IN FACT, SET US UP
19 FOR THE REST OF THE MEETING EXACTLY TO DEAL WITH
20 THOSE TWO QUESTIONS.

21 CHAIRMAN THOMAS: I'D JUST LIKE TO QUICKLY
22 ADD THAT THIS IS -- WE WERE REQUIRED BY SOME STATE
23 LEGISLATION PASSED IN 2010 TO PRODUCE THIS MARCH 1ST
24 A TRANSITION PLAN. TIMING WAS SORT OF INTERESTING
25 SINCE IF WE GET NO ADDITIONAL FUNDING, OUR LAST

BARRISTERS' REPORTING SERVICE

1 ACTUAL DISTRIBUTION WON'T BE TILL PROBABLY 2021,
2 LAST AWARDS ROUGHLY 2017. SO IT WAS SORT OF AN
3 ARBITRARY DATE WELL IN ADVANCE. BUT IT WAS A USEFUL
4 EXERCISE BECAUSE IT GOT US THINKING ABOUT HOW WE'RE
5 GOING TO HAVE TO TRANSITION ALL SORTS OF STUFF IF
6 AND WHEN IT TURNS OUT THAT WE DON'T HAVE ADDITIONAL
7 FUNDING. AND THAT'S A WHOLE OTHER SEPARATE TOPIC
8 NOT FOR TODAY'S DISCUSSION.

9 BUT SPECIFICALLY THE IPS CELL BANK WAS ONE
10 OF THOSE TOPICS THAT WAS GIVEN A LOT OF DISCUSSION
11 AND THOUGHT AS TO WHAT WE WOULD DO IF AND WHEN. SO
12 IT'S VERY MUCH ON THE FRONT OF EVERYBODY'S MIND.

13 CHAIRMAN LO: ONE LAST COMMENT. THEN I
14 WANT TO SORT OF PUSH AHEAD TO GET TO THE CONSENT AND
15 THE RETURN OF RESULTS ISSUES.

16 DR. BOTKIN: MAYBE A QUICK QUESTION. HOW
17 IS INTELLECTUAL PROPERTY GOING TO BE MANAGED?

18 DR. GRIESHAMMER: THE LAWYER WHO'S
19 ACTUALLY MOSTLY INVOLVED IN THAT IS NOT HERE RIGHT
20 NOW. SO THERE ARE MULTIPLE INTELLECTUAL PROPERTY
21 ISSUES ASSOCIATED HERE. THERE'S THE INTELLECTUAL
22 PROPERTY IN TERMS OF WHO OWNS THESE LINES, AND I
23 DON'T THINK THAT'S BEEN -- I DON'T KNOW, ELLEN, IF
24 YOU CAN SPEAK TO THAT IN TERMS OF OBVIOUSLY THE
25 PEOPLE WHO MAKE THE DECISIONS ABOUT REALLY THE MOST

BARRISTERS' REPORTING SERVICE

1 INTELLECTUALLY INTERESTING PART OF ALL OF THIS IS TO
2 DECIDE WHICH DISEASES SHOULD BE INCLUDED. ARE THEY
3 GOING TO BE AMENABLE TO MODELING AND WHAT OWNERSHIP
4 WILL BE AT THIS END FOR THE USE OF THE LINES.

5 BUT THEN THE OTHER INTELLECTUAL PROPERTY
6 ISSUES THAT ARE REALLY ASSOCIATED WITH THIS IS THE
7 DERIVATION ITSELF, WHICH ALREADY HAS PATENTS ISSUED
8 THAT MIGHT ULTIMATELY LIMIT THE USE OF THESE LINES
9 DEPENDING ON HOW THESE PATENTS WERE WRITTEN FOR
10 WHICHEVER DERIVATION METHOD WILL BE USED.

11 I BELIEVE THAT, IN GENERAL, FOR ANYTHING,
12 FOR ANY -- I KNOW VERY LITTLE ABOUT THIS END OF
13 BANKING, BUT IT'S MY UNDERSTANDING THAT MATERIALS
14 THAT DO GET BANKED, YOU THEN ARE A CUSTOMER AND
15 RECEIVE THE CELL LINES. IF YOU'RE AN ACADEMIC,
16 THERE ARE CERTAIN MATERIAL TRANSFER AGREEMENTS THAT
17 WILL BE PART OF THIS RFA REVIEW WHERE WE WILL KNOW
18 PRIOR TO ESTABLISHING THE BANK WHAT THESE MATERIAL
19 TRANSFER AGREEMENTS WILL LOOK LIKE TO ALLOW ACADEMIC
20 USE.

21 MY UNDERSTANDING IS THAT IF I WERE A
22 COMPANY WHO HAD AN INTEREST IN USING, LET'S SAY,
23 SOME LINES FROM DIABETES PATIENTS TO SCREEN FOR NEW
24 DRUGS, I WOULD HAVE TO GO BACK TO THE PATENT HOLDERS
25 AND NEGOTIATE LICENSES WITH THEM IN CASE I DO

BARRISTERS' REPORTING SERVICE

1 DISCOVER A DRUG THAT WOULD MAKE IT.

2 MR. SWEEDLER: AGAIN, I'M ALSO NOT THE
3 CIRM LAWYER WHO'S DEALING WITH THIS MOST DIRECTLY,
4 BUT MY UNDERSTANDING IS THAT THERE'S NO INTENT BY
5 CIRM TO IMPOSE A PARTICULAR IP APPROACH, BUT THAT
6 APPLICANTS WILL BE ASKED TO EXPLAIN WHAT APPROACH
7 THEY WANT TO TAKE. THE OBVIOUS CONCERNS ARE MAKING
8 SURE THAT THERE ISN'T GOING TO BE AN IP APPROACH
9 THAT WOULD UNDERMINE THE UTILITY, MAKE IT NOT
10 ATTRACTIVE OR USEFUL AS A WORLDWIDE RESOURCE, AND AT
11 THE SAME TIME RECOGNIZING THAT SOME OF THAT CAN BE
12 AN IMPORTANT PART OF WHAT MAKES IT AN ECONOMICALLY
13 SUSTAINABLE MODEL.

14 SO I THINK IT'S GOING TO BE AN APPROACH IN
15 WHICH WE SAY THESE ARE THE OUTCOMES WE NEED TO SEE
16 AND BE OPEN TO DIFFERENT PROPOSALS FOR HOW THAT
17 WOULD BE DONE.

18 DR. ROBERT TAYLOR: SO JUST KIND OF GIVEN
19 THE COMPLEXITY OF ALL OF THIS, DID YOU THINK ABOUT A
20 PPG, A PROGRAM PROJECT GRANT, KIND OF A MODEL RATHER
21 THAN THREE INDEPENDENT COMPETITIVE MECHANISMS? IT
22 SEEMS TO ME TO MAKE THIS ALL COME ALTOGETHER WITH
23 THREE INDEPENDENT FUNCTIONING GROUPS, WHERE IF YOU
24 WANT TO DISTRIBUTE THE WEALTH ACROSS THE STATE, YOU
25 COULD HAVE INSISTED EACH COMPONENT BE AT A DIFFERENT

BARRISTERS' REPORTING SERVICE

1 SITE. IT WOULD SEEM TO ME THAT SOME COORDINATION UP
2 FRONT WOULD HELP YOU TO RESOLVE SOME OF THESE REALLY
3 THORNY ISSUES THAT I GUESS YOU HAVE A MONTH --

4 CHAIRMAN LO: YOU KNOW WHAT, FOLKS, I
5 WOULD LIKE TO STRESS WE NOT TRY AND REWRITE THEIR
6 RFP. THAT'S NOT OUR JOB. I WOULD LIKE TO SORT OF
7 SETTLE OR GET SOME MORE INFORMATION FOR GEOFF ON THE
8 IP QUESTIONS. SCOTT, DID YOU HAVE SOMETHING?

9 MR. TOCHER: I WAS JUST GOING TO ADD --
10 JUST TO LET YOU KNOW THAT THAT'S THE SUBJECT OF AN
11 UPCOMING MEETING OF A SUBCOMMITTEE OF THE BOARD OF
12 OUR INTELLECTUAL PROPERTY SUBCOMMITTEE TO LOOK AT
13 THE ISSUES THAT IAN JUST DISCUSSED AND POSSIBLE
14 MODIFICATIONS THAT WILL BE REQUIRED OF OUR IP
15 POLICIES CURRENTLY TO MAKE SURE THAT THOSE PROBLEMS
16 DON'T ARISE. IT'S SOMETHING THAT YOU CAN FOLLOW
17 ALONG WITH THE REST OF US.

18 CHAIRMAN LO: GREAT. I'M GOING TO SUGGEST
19 WE ACTUALLY MOVE AHEAD. WE HAVE WHAT SHOULD BE A
20 VERY INTERESTING PRESENTATION FROM THE PARKINSON'S
21 INSTITUTE THAT HAS ACTUALLY USED THE CONSENT FORM
22 THAT CIRM HAS WORKED ON. AND THEN I WANT TO USE
23 THAT TO SPRINGBOARD TO ACTUALLY TALKING ABOUT THIS
24 MODEL CONSENT AND CONSENT FORM, I GUESS IS IMPORTANT
25 TO STRESS. AND THEN REALLY ALSO THEN MOVE ON TO

BARRISTERS' REPORTING SERVICE

1 HEARING ABOUT THE RETURN OF RESULTS.

2 DR. LOMAX: I WOULD JUST SAY, IN THE
3 INTEREST OF ACCURATE DISCLOSURE, CIRM HAS BEEN THE
4 BENEFICIARY OF THE PARKINSON'S INSTITUTE SHARING
5 THEIR FORM WITH US. SO I JUST WANT TO LET YOU KNOW
6 ABOUT THE PATHWAYS. SO WE WILL CIRCULATE. WE'VE
7 REALLY RELIED ON OUR GRANTEES TO GIVE US INSIGHTS
8 INTO HOW TO DO THIS. I DON'T WANT TO TAKE CREDIT
9 FOR SOMEONE ELSE'S WORK.

10 DR. SCHUELE: THANKS, GEOFF, FOR INVITING
11 ME. AND I'LL GIVE YOU A REAL EXAMPLE OF HOW WE USE
12 OUR IRB CONSENT FOR THE DERIVATION OF INDUCED
13 PLURIPOTENT STEM CELLS FROM SKIN CELLS.

14 GEOFF WANTED THAT I INTRODUCE MYSELF. SO
15 I'M AN ASSISTANT PROFESSOR AT THE PARKINSON'S
16 INSTITUTE. IT'S MY SEVENTH YEAR AT THE PARKINSON'S
17 INSTITUTE. I'M A MEDICAL DOCTOR BY TRAINING, NOT
18 LICENSED HERE IN THE U.S., SO I GOT MY MEDICAL
19 DEGREE IN GERMANY. I DID A POSTDOCTORAL FELLOWSHIP
20 AT STANFORD. SEVEN YEARS AGO I MOVED TO THE
21 PARKINSON'S INSTITUTE.

22 SO FOR THOSE OF YOU WHO DON'T KNOW WHO WE
23 ARE, WE WERE FOUNDED IN 1988 BY DR. LANGSTON, DR.
24 BILL LANGSTON. WE ARE LOCATED IN SUNNYVALE,
25 CALIFORNIA. IF YOU DRIVE DOWN SOUTH TO SAN JOSE

BARRISTERS' REPORTING SERVICE

1 101, WE ARE RIGHT ACROSS MOFFET FIELD, NASA MOFFET
2 FIELD. I THINK THE UNIQUENESS OF OUR PLACE IS THAT
3 WE REALLY HAVE EVERYTHING UNDER ONE ROOF. WE HAVE A
4 CLINIC, WE HAVE BASIC RESEARCH, AND WE HAVE CLINICAL
5 RESEARCH. SO THAT'S WHAT THIS NOTE SAYS, SCIENCE
6 AND PATIENT CARE ALIGNED. I THINK THAT'S SOMETHING
7 VERY, VERY IMPORTANT, AND NOT MANY INSTITUTIONS HAVE
8 THAT.

9 IT'S ALSO DIFFICULT TO GET THAT OUT INTO
10 THE COMMUNITY. A LOT OF PEOPLE THINK, OH, WE ARE
11 ONLY A CLINIC OR WE ARE ONLY A BASIC RESEARCH TEAM
12 THAT TRY TO FIND THE CAUSES AND FIND SOME CURES FOR
13 PARKINSON'S DISEASE. NO, WE ARE BOTH. THAT I THINK
14 MAKES US UNIQUE.

15 SO THE WAY WE WORK IS WE RELY ON
16 GOVERNMENT GRANTS, FOUNDATIONS, ALSO CORPORATE
17 PARTNERS, AND COMMUNITY DONORS. AND MOST OF OUR
18 DONORS ARE PATIENTS THAT COME THROUGH OUR CLINIC.
19 OUR CLINICAL CENTER HAS FIVE NEUROLOGISTS. WE ALSO
20 HAVE PHYSIOTHERAPY, SPEECH THERAPY, AND WE ALSO DO A
21 LOT OF OUTREACH AND EDUCATION. SO THAT MEANS WE
22 HAVE MONDAYS PATIENT SYMPOSIA AND SEMINARS. WE ALSO
23 HAVE, IN ADDITION TO ALL OF THAT, GAIT AND BALANCE
24 CLASSES. WE HAVE A CHOIR. SO WE TRY TO DO MORE
25 THAN THE REGULAR NEUROLOGY CAN DO FOR PATIENTS, AND

BARRISTERS' REPORTING SERVICE

1 WE SPECIFICALLY FOCUS ON PARKINSON'S DISEASE AND
2 RELATED MOVEMENT DISORDERS.

3 WE HAVE A BASIC RESEARCH DEPARTMENT.
4 DR. BILL LANGSTON IS HEADING THAT AT THIS POINT. WE
5 HAVE FOUR INVESTIGATORS, AND WE'RE TRYING TO EXPAND
6 THAT A LITTLE BIT. WE HAVE A CLINICAL RESEARCH
7 DEPARTMENT HEADED BY DR. CARLIE TANNER. SHE'S VERY
8 INTERESTED IN EPIDEMIOLOGY IN PARKINSON'S DISEASE.
9 SO WHAT ARE THE RISK FACTORS THAT CAN CAUSE THE
10 DISEASE? SO A LOT OF ENVIRONMENTAL STUDIES ARE DONE
11 HERE.

12 AND THEN WE HAVE A TRANSLATIONAL DRUG
13 DEVELOPMENT PROGRAM HEADED BY DR. IAN IRWIN, AND HE
14 IS TRYING TO TAKE SOME OF THE DISCOVERIES INTO
15 PRECLINICAL DEVELOPMENT.

16 SO THAT'S KIND OF THE BASIS WHY WE NEEDED
17 AN IRB CONSENT FOR OUR STUDY. SO WE WANT TO MODEL
18 PARKINSON'S DISEASE IN A DISH. AS YOU CAN SEE, WE
19 STARTED WITH A SKIN BIOPSY FROM A PATIENT, GROW
20 THESE CELLS UP, BANK THEM AT OUR PLACE, AND THEN WE
21 USE NUCLEAR REPROGRAMMING TO MAKE AND USE
22 PLURIPOTENT STEM CELLS.

23 IN THE SECOND STAGE WE DO DIRECTED
24 NEURONAL DIFFERENTIATION INTO DOPAMINERGIC NEURONS
25 AND TRY TO THEN UNDERSTAND DISEASE MECHANISMS

BARRISTERS' REPORTING SERVICE

1 RELATED TO PARKINSON'S DISEASE. ULTIMATELY WE ALSO
2 WOULD LIKE TO USE THESE CELLS, THESE NEURONS IN A
3 DISH, TO DO DRUG DISCOVERY. SO THIS IS HERE NICELY
4 TERMED AS CLINICAL TRIALS IN A DISH.

5 SO THAT'S KIND OF THE OVERALL BROAD
6 PROGRAM THAT WE HAVE AT THE INSTITUTE. AND THANKS
7 TO AN EARLY TRANSLATIONAL GRANT THAT WAS AWARDED IN
8 2008, I GUESS, WE WERE LUCKY TO BE ABLE TO START
9 RECRUITING PATIENTS FOR BANKING FOR A FIBROBLAST
10 BANK. AT THIS POINT WE HAVE A TOTAL OF 61 SUBJECTS
11 THAT PUT SKIN IN THE GAME IN THIS CASE. SO WE HAVE
12 SOME GENETIC FORMS. LRRK2 IS ONE OF THE BIGGEST, WE
13 THINK, CAUSES FOR PD. SOME YOUNG ONSET FORMS LIKE
14 PARKIN. THERE'S ALSO GBA, GLUCOCEREBROSIDASE, GENE
15 INVOLVED, AND THERE'S SOME VERY RARE CARRIERS THAT
16 HAVE BOTH, TWO MUTATIONS IN THIS CASE, A GBA AND A
17 LRRK2 MUTATION. AND THERE'S ALSO ALPHA-SYNUCLEIN,
18 WHICH IS A PROTEIN THAT IS FOUND IN LEWY BODIES OF
19 PARKINSON'S DISEASE.

20 WE ALSO HAVE AN INDIVIDUAL WHO IS
21 CARRYING, IN THIS CASE, FOUR COPIES OF THE WILD-TYPE
22 GENE, AND HE HAS VERY EARLY ONSET PARKINSON'S
23 DISEASE. WE HAVE A WHOLE NUMBER OF SPORADIC CASES,
24 18 OF THOSE, AND WE ALSO ASCERTAINED MATCHED
25 CONTROLS. AND IN THIS CASE THOSE ARE EITHER

BARRISTERS' REPORTING SERVICE

1 SPOUSES, COMMUNITY MEMBERS, OR RELATIVES. SO TOTAL
2 OF 61.

3 AND THEN JUST TO GIVE YOU TWO SLIDES AND A
4 FLAVOR OF WHAT WE DO IN OUR RESEARCH. SO IN THIS
5 CASE THESE ARE CELLS FROM THE PATIENT WHO HAS AN
6 ALPHA-SYNUCLEIN TRIPLICATION. AND AFTER WE DERIVED
7 IPS CELLS AND NOW HAVE NEURONS IN A DISH, WE COULD
8 CLEARLY SEE THAT HERE ON THE LEFT PANEL FOR THE
9 ALPHA-SYNUCLEIN TRIPLICATION, THERE'S A LOT MORE
10 ALPHA-SYNUCLEIN IN THOSE NEURONS COMPARED TO THE
11 SIBLING CONTROL.

12 SO THIS IS THE PATIENT LINE AND THIS IS
13 THE CONTROL LINE. WE WERE ALSO ABLE TO LOOK AT THE
14 PROTEIN BLOCK TO MAKE IT MORE QUANTITATIVE. SO HERE
15 IS ALPHA-SYNUCLEIN MONOMERIC SYNUCLEIN COMPARED TO
16 CONTROL LINES. THAT'S JUST ONE THING, AND IT NICELY
17 REPLICATES WHAT YOU WOULD EXPECT IN A HUMAN BRAIN.

18 SO THIS IS THANKS TO ANOTHER GRANT FUNDED
19 BY CIRM, A TOOLS AND TECHNOLOGY GRANT. WE ARE ALSO
20 ABLE NOW TO GENETICALLY MODIFY THOSE CELLS. IN THIS
21 CASE WE HAD THIS VERY COMMON LRRK2 G2019S MUTATION.
22 AND IN COLLABORATION WITH SANGAMO BIOSCIENCES, WE
23 WERE ABLE TO USE ZINC FINGER TECHNOLOGY TO CORRECT
24 THIS MUTATION IN THE CULTURE DISH, BASICALLY
25 REPAIRING A MUTATION, THEREFORE, ALSO CURING THE

BARRISTERS' REPORTING SERVICE

1 DISEASE IN THE DISH. SO I THINK THAT IS SOMETHING
2 VERY EXCITING THAT WE'VE NOW ACCOMPLISHED. AND AT
3 THIS POINT WE'RE FRANTICALLY WORKING IN THE LAB TO
4 LOOK AT SOME FUNCTIONAL OUTCOMES TO REALLY SHOW THAT
5 WE HAVE CORRECTED THIS MUTATION AND THEN RESCUED THE
6 PHENOTYPE.

7 SO NOW GOING TO THE IRB CONSENT AND
8 PROTOCOL AND GIVE YOU A PERSPECTIVE WHAT WE'VE
9 LEARNED THROUGH THE HUMAN IRB CONSENT AT OUR
10 INSTITUTE. SO FIRST OF ALL, WHEN YOU DO A CONSENT
11 PROCESS WITH A PATIENT, YOU HAVE TO EXPLAIN THE
12 PURPOSE OF THE STUDY. AND WHAT I'VE LEARNED, EVEN
13 THOUGH YOU TALK AND TALK AND TALK, IT'S OFTEN MUCH
14 EASIER TO EXPLAIN WHEN YOU HAVE A VISUAL HELP. SO
15 WHENEVER I TALK TO A PATIENT, I HAVE A LITTLE SCHEME
16 THAT SHOWS WHAT WE ARE DOING. BECAUSE INITIALLY
17 WHAT A LOT OF PEOPLE THOUGHT, SO PEOPLE THAT WERE
18 REALLY SAVVY AND WERE TRYING TO READ AT THE INTERNET
19 OR GET OTHER MEDIA TO UNDERSTAND WHAT WE'RE DOING,
20 THEY THOUGHT THESE WERE ADULT STEM CELLS THAT WE
21 WERE EXTRACTING FROM THOSE SKIN CELLS. SO IT WAS
22 REALLY A HELP WITH THIS KIND OF CIRCLE TO SAY NO,
23 NO, WE ARE GOING IN THERE AND WE DO THE
24 REPROGRAMMING AND MAKE THOSE SKIN CELLS PLURIPOTENT.
25 SO THAT WAS VERY IMPORTANT TO EXPLAIN.

BARRISTERS' REPORTING SERVICE

1 THEN THE OTHER TWO QUESTIONS THAT ARE, OF
2 COURSE, COMING FROM PATIENTS WHO WANT TO BE CURED,
3 THEY HAVE VERY SEVERE SYMPTOMS, THEY HAVE TREMOR,
4 THEY HAVE GAIT DIFFICULTIES, THEY HAVE MEMORY
5 PROBLEMS, SOME OF THEM. SO A LOT OF THEM ARE ASKING
6 WHEN WILL THESE CELLS BE READY FOR ME? AND THAT IS
7 SOMETHING THAT WE HAVE TO DISCUSS WITH THEM, AND WE
8 SAY, WELL, THEY MIGHT NEVER BE READY FOR YOU. AND I
9 HAVE TO SAY, EVEN THOUGH YOU SAY THAT AS THE STUDY
10 DOCTOR, SOMETIMES YOU'RE NOT REACHING THE PATIENT
11 BECAUSE THEY DON'T WANT TO HEAR IT. SO I THINK THIS
12 IS SOMETHING THAT WE HAVE TO KIND OF SAY AGAIN AND
13 AGAIN DURING THE CONSENT PROCESS AND ALSO AFTERWARDS
14 WHEN WE SEE THOSE PATIENTS BACK IN THE CLINIC THAT,
15 NO, THESE CELLS MIGHT NEVER BE READY FOR YOU.

16 AND ALSO THIS IS SOMETHING THAT I ALSO GET
17 ALL THE TIME. WHEN DO WE HAVE A CURE FOR PD, AND
18 WILL STEM CELLS AT SOME POINT IN THE FUTURE BE A
19 CURE FOR ME? AND THIS IS ALSO SOMETHING THAT I
20 CAN'T REALLY ANSWER AT THIS POINT. BUT I CAN JUST
21 SAY SOMETIMES THIS CONSENT PROCESS CAN BE LENGTHY,
22 AND IT CAN BE A REALLY INTERESTING DISCUSSION.

23 SO WHAT WE'RE TRYING TO DO IS WE TRY TO
24 STAY IN CONTACT WITH OUR SUBJECTS, ESPECIALLY WITH
25 THE PATIENTS THAT WE SEE IN THE CLINIC. AND ONE WAY

BARRISTERS' REPORTING SERVICE

1 TO DO THAT IS TO KEEP THE COMMUNICATION OPEN. AND
2 WHAT WE HAVE, I THINK IT WAS A YEAR AND A HALF AGO,
3 SEPTEMBER 1ST WE HAD A SKIN DONOR EVENT. SO WE
4 INVITED ALL OUR 50 DONORS AT THAT POINT TO COME
5 EXPLORE THE LAB, AND ALSO WE HAD LITTLE
6 PRESENTATIONS AT COMPUTERS, AS YOU CAN SEE HERE, AND
7 THEN EXPLAINING WHAT WE ARE DOING WITH THOSE CELLS.
8 AND I THINK OF THOSE 50 PEOPLE, WE HAD 35
9 INDIVIDUALS ATTENDING, AND THEY THOUGHT THIS WAS
10 VERY, VERY GOOD.

11 SO WHAT THESE PATIENTS WANT FROM US, THEY
12 WANT HOPE. THEY DON'T NECESSARILY WANT A CURE
13 TOMORROW, BUT THEY WANT TO BE PART OF THE PROCESS
14 AND THEY WANT TO BE INFORMED. THEY WANT TO HEAR
15 WHAT'S GOING ON. AND SO THIS WAS ONE OF THE WAYS
16 WE'RE COMMUNICATING WITH THEM.

17 THE OTHER THING, WHAT I'M ALSO DOING WITH
18 THE DONORS, JUST TO KEEP THEM EXCITED AND ENGAGED,
19 IS I ALWAYS SEND THEM A PICTURE OF THEIR CELLS. SO
20 SOMETHING THAT'S VERY PERSONAL. AND EVERY PICTURE
21 LOOKS THE SAME IN A WAY. NO, THESE ARE THE CELLS
22 THAT DERIVED FROM MY LITTLE SKIN BIOPSY. SO THAT IS
23 SOMETHING THAT'S VERY IMPORTANT. EVEN THOUGH YOU
24 THINK AS A RESEARCHER THEY ALL LOOK THE SAME, NO,
25 IT'S SOMETHING VERY SPECIAL. AND IT'S NOT SOMETHING

BARRISTERS' REPORTING SERVICE

1 THAT'S PART OF THE CONSENT PROCESS, BUT IT'S
2 SOMETHING THAT KEEPS PEOPLE INVOLVED. AND I THINK
3 THAT'S IMPORTANT.

4 AND SINCE WE ARE A SMALL INSTITUTE AND
5 DOWNSTAIRS IS THE CLINIC, I'M IN CONSTANT CONTACT
6 WITH PATIENTS. SOMETIMES ALSO THE NURSE CALLS ME
7 AND SAYS, "BIRGITT, CAN YOU COME DOWNSTAIRS? MR. X
8 IS HERE. HE WANTS TO TALK TO YOU." I THINK THAT'S
9 VERY IMPORTANT TO KEEP PEOPLE ENGAGED AND EXCITED
10 AND GIVE THEM HOPE THAT WE'RE DOING SOMETHING FOR
11 THEM.

12 SO NOW I'M SWITCHING JUST TO THE DIFFERENT
13 PARTS OF THE CONSENT FORM AND HOW WE WORDED IT. SO
14 WE DIDN'T HAVE ANY RECOMMENDATION FROM CIRM AT THIS
15 POINT, AND THE WAY WE WERE APPROACHING THE IRB
16 CONSENT WAS WE WERE MODELING IT OFF GENETIC FAMILY
17 STUDIES THAT WE DID WHERE WE COLLECTED BLOOD
18 SAMPLES, EXTRACTED DNA. SO THAT WAS KIND OF THE
19 BASE THAT WE WERE USING.

20 SO HERE IN TERMS OF THE PROCEDURE, WHAT WE
21 SAY, WE ARE USING A FORMULA METER ABOUT THE SIZE OF
22 A LENTIL PUNCH BIOPSY. AND A LOT OF PEOPLE ARE
23 HESITANT INITIALLY BECAUSE THEY THINK IT'S A
24 SURGICAL PROCEDURE, IT'S BLEEDING, THEY GET SUTURES,
25 AND ALL OF THAT, BUT MOST OF THEM SAY, OH, THIS

BARRISTERS' REPORTING SERVICE

1 IS -- EVEN AT THE END, ONCE THEY WENT THROUGH THE
2 PROCESS, IT'S EVEN LESS STRESSFUL THAN A BLOOD DRAW
3 BECAUSE SOMETIMES YOU HAVE TO POKE AGAIN AND AGAIN.
4 AT THE END YOU DON'T WANT ANY MORE. BUT HERE, SINCE
5 WE HAVE THE LOCAL ANESTHESIA, IT'S REALLY NOT VERY
6 STRESSFUL. AND WE CAN USE STERISTRIPS AT THE END OF
7 THE PROCEDURE SO THEY DON'T HAVE TO COME BACK, AND
8 WE DON'T HAVE TO REMOVE ANY SUTURES. SO IT'S VERY
9 EASY AND IT'S KIND OF A WALK-AWAY SITUATION.

10 THEN THE TYPES OF RESEARCH AND HOW WE
11 EXPLAIN THIS. SO THE CHALLENGE HERE WAS TO INCLUDE
12 AS MANY TYPES OF RESEARCH AS WE COULD THINK OF
13 BECAUSE AT THAT POINT THREE YEARS AGO, WE DIDN'T
14 REALLY KNOW WHAT WAS COMING. SO WE WANTED TO KEEP
15 IT AS BROAD AS POSSIBLE. SO WE'RE STUDYING CHANGES
16 IN STRUCTURE AND FUNCTION. SO THAT INCLUDES LOOKING
17 AT DISEASE MECHANISMS AND IN VITRO RESEARCH. AND
18 THEN HERE WE EXPLAIN HOW WE DO THE REPROGRAMMING
19 PROCESS.

20 SO WE WANTED TO KEEP IT VERY BROAD SO THAT
21 WE DON'T HAVE TO GO BACK TO OUR IRB EVERY TIME WE'RE
22 MAKING A CHANGE IN OUR RESEARCH. SO WE WANTED TO
23 KEEP IT BROAD.

24 I ALSO THOUGHT IT WAS VERY IMPORTANT THAT
25 WE KEPT THE FIBROBLAST LEVEL, THAT WE NOT JUST SAID

BARRISTERS' REPORTING SERVICE

1 WE WOULD REPROGRAM AND THEN STUDY IN THE
2 REPROGRAMMED OR DIFFERENTIATED CELLS. WHAT WE'VE
3 DONE IN THE FIRST YEAR AND A HALF, WE WERE ACTUALLY
4 STUDYING THE PATIENT FIBROBLASTS TO LOOK AT DISEASE
5 MECHANISMS. SO YOU CAN ALREADY LEARN A LOT FROM THE
6 SKIN CELLS FROM THESE PATIENTS. SO I THINK THAT IS
7 SOMETHING THAT WE SHOULD ALSO KEEP IN MIND, THAT WE
8 WOULD DO RESEARCH AT THE FIBROBLAST LEVEL AND AT THE
9 IPSC AND DIFFERENTIATED LEVEL.

10 THEN WE HAD THIS PART IN OUR CONSENT FORM,
11 SHARING OF CELL LINES AND FUTURE USE. ONE THING
12 THAT WE FOUND OUT WHEN WE STARTED TO RECRUIT THESE
13 PATIENTS AND BANK THE FIBROBLASTS, ALL OF A SUDDEN I
14 GOT A LOT OF CALLS FROM A LOT OF PEOPLE ALL OVER THE
15 WORLD ALMOST AND SAID, "OH, CAN YOU SHARE THOSE
16 LINES WITH US? WE ARE INTERESTED IN DOING THIS AND
17 THIS AND THIS." SO THE SHARING PART WAS SOMETHING
18 VERY IMPORTANT FOR US TO HAVE IN HERE. AND THE WAY
19 WE SAID IT WAS, AGAIN, RELATIVELY BROAD. WE SAID
20 THE LINES WILL BE KEPT FOR MANY YEARS AND MAY BE
21 USED FOR FUTURE STUDIES BY US, BUT ALSO MAYBE BY
22 OTHER PEOPLE OR ENTITIES THAT WE CAN'T PREDICT AT
23 THIS TIME.

24 SO THAT TURNED OUT TO BE FAIRLY BROAD.
25 AND SO WE WERE ALLOWED TO USE THOSE CELLS AND SEND

BARRISTERS' REPORTING SERVICE

1 THEM OUT TO COLLABORATORS. SO SOME OF THE GENETIC
2 CONSENTS DON'T ALLOW US TO DO THAT. SO THAT WAS
3 VERY GOOD. THAT ALLOWED US TO EXPAND THE RESEARCH,
4 TO EXPAND COLLABORATIONS, BUT THEN ALSO TO PARTNER
5 WITH INDUSTRY WHICH THEN ULTIMATELY ALLOWS US TO
6 FIND NEW DRUGS AND POTENTIAL NEW THERAPIES FOR OUR
7 PATIENTS. SO THIS WAS VERY GOOD, AND WE ALSO HAD, I
8 THINK THIS IS SOMETHING THAT NEEDS TO BE IN EVERY
9 CONSENT FORM, THE COMMERCIALIZATION PART.

10 SO THE TISSUE AND SAMPLES THAT WE ARE
11 COLLECTING MAY BE OF COMMERCIAL VALUE, AND THEN THE
12 PATIENT WILL NOT BE ABLE TO SHARE IN THE PROFITS
13 THAT MAY COME OUT OF IT. SO BY HAVING THIS IN THE
14 CONSENT FORM, WE'RE ALLOWED TO PARTNER WITH, I
15 THINK, THREE INDUSTRY PARTNERS RIGHT NOW. SO THAT
16 WAS ALSO A VERY GOOD THING THAT WE HAD THOUGHT OF IN
17 ADVANCE EVEN THOUGH AT THAT POINT WE DIDN'T KNOW WHO
18 MIGHT BE INTERESTED IN THE FUTURE. SO THOSE ARE MY
19 COMMENTS ON THIS.

20 BENEFITS, THIS RELATES TO THE POINT WHEN
21 WILL THE CELLS BE READY FOR ME FOR TREATMENT. SO
22 THIS IS KIND OF THE DISCLAIMER, THAT WE SAY THEY ARE
23 NOT INTENDED TO PROVIDE DIRECT MEDICAL BENEFIT EVEN
24 THOUGH EVERYONE BELIEVES IT. SO WE GET A LOT OF
25 CALLS AND OUR RECEPTIONIST SAID, "OH, THERE WAS

BARRISTERS' REPORTING SERVICE

1 AGAIN THIS CALL FROM A PATIENT WHO WANTS TO HAVE THE
2 STEM CELL TREATMENT WITH THEIR CELLS. WHEN ARE THEY
3 READY?" I THINK THAT'S VERY IMPORTANT THAT IT'S IN
4 HERE AND WE KEEP COMMUNICATING THAT. BECAUSE EVEN
5 THOUGH YOU SAY THAT, PEOPLE DON'T WANT TO HEAR IT.
6 AND WE KIND OF SAID IT IN DIFFERENT WAYS, SO WE
7 CANNOT AND DON'T GUARANTEE TO PROMISE YOU YOU WILL
8 RECEIVE ANY BENEFITS FROM THE STUDY. EVEN THOUGH WE
9 PUT IT IN BOLD AND WE SAY IT, PATIENTS DON'T REALLY
10 WANT TO HEAR IT, BUT THIS IS A BIG SECTION THAT WE
11 DEFINITELY WANT TO PUT OUT HERE.

12 ON THE OTHER HAND, I HAVE TO SAY WE GOT
13 REALLY AN OVERWHELMING RESPONSE. SO WE REALLY HAD
14 MORE PATIENTS THAT WANTED TO PARTICIPATE AND GIVING
15 SKIN SAMPLES THAN WE ACTUALLY WERE ABLE TO INCLUDE.
16 SO OVERALL THIS IS A VERY SUCCESSFUL STUDY. THANKS
17 TO CIRM FUNDING, WE WERE ABLE TO EXPAND THIS BANK.
18 INITIALLY WE SAID WE WOULD DO 18 LINES. NOW WE HAVE
19 61 LINES. IT'S BEEN VERY SUCCESSFUL. NONE OF THE
20 INDIVIDUALS WHO WERE ENROLLED HAVE WITHDRAWN FROM
21 THE STUDY. WE KEEP THEM ENGAGED. AND THAT'S KIND
22 OF MY PERSPECTIVE ON OUR IRB CONSENT AT THE
23 PARKINSON'S INSTITUTE. THANKS TO ALL THE PATIENTS
24 AND VOLUNTEERS PUTTING THEIR SKIN IN THE GAME.
25 THANKS TO THE LAB, DR. LANGSTON, WHO IS THE

BARRISTERS' REPORTING SERVICE

1 PRINCIPAL INVESTIGATOR OF THIS EARLY TRANSLATIONAL
2 GRANT. THANKS TO THE CLINIC, TO ALL THE DOCTORS
3 THAT HELP ME RECRUITING THE PATIENTS. THANKS TO OUR
4 COLLABORATORS, AND THANKS TO CIRM AND THE
5 PARKINSON'S ALLIANCE AND BLUME FOUNDATION SUPPORTING
6 THIS RESEARCH. AND THANKS TO YOU FOR LISTENING.
7 ANY QUESTIONS?

8 DR. ROBERT TAYLOR: I'VE GOT SORT OF TWO
9 QUESTIONS. THAT'S A PRETTY OPEN-ENDED CONSENT IN
10 TERMS OF WHAT YOU'RE ALLOWED TO DO WITH IT. I GUESS
11 IN GOING FORWARD, I'VE NEVER USED WESTERN IRB.

12 DR. SCHUELE: THIS WAS THE EL CAMINO IRB
13 AT THE EL CAMINO HOSPITAL IN MOUNTAIN VIEW WHO
14 APPROVED THIS STUDY.

15 DR. ROBERT TAYLOR: SOUNDS LIKE WHERE
16 EVERYBODY SHOULD GO TO GET THEIR IRB DONE. SO THAT
17 WAS KIND OF ONE QUESTION. BUT IT STRIKES ME IN THE
18 INSTITUTIONS THAT I'VE BEEN RECENTLY, I THINK IT
19 WOULD HAVE BEEN DIFFICULT TO GET AS OPEN-ENDED AND
20 BROAD. THAT'S GREAT.

21 AND THE OTHER QUESTION, I GUESS, WE'VE
22 BEEN TALKING ABOUT IT. I'M JUST KIND OF CURIOUS.
23 YOU'RE SOMEBODY WHO'S GOING TO WANT TO BE DOING
24 THOSE SENSITIVE EXPERIMENTS OF PUTTING YOUR STEM
25 CELL POPULATIONS PROBABLY INTO MOUSE BRAIN AT LEAST.

BARRISTERS' REPORTING SERVICE

1 DR. SCHUELE: SO FOR THIS EARLY
2 TRANSLATIONAL GRANT, THIS IS ALL STRICTLY IN VITRO.
3 ALSO, WE HAVE NOT DONE TERATOMA FORMATION. SO WE'VE
4 DONE EMBRYOID BODY IN VITRO DIFFERENTIATION TO SHOW
5 THE THREE GERM LAYER DERIVATION FOR PLURIPOTENCY.
6 FOR THIS GRANT, NO; BUT, YES, FOR FUTURE RESEARCH,
7 WE ARE THINKING OF TRANSPLANTING THEM, BUT AT THIS
8 POINT NO.

9 DR. ROBERT TAYLOR: IN TERMS OF OUR
10 DISCUSSION BEFOREHAND, YOU'RE GOING TO BE -- BECAUSE
11 YOU WERE IN A SITUATION WHERE IT WAS DIFFICULT TO
12 GET THE SCRO SUPPORT, AND THAT'S GOING TO BE EVEN A
13 BIGGER DEAL THIS NEXT GO-AROUND.

14 DR. SCHUELE: TO GET THEM INTO. THAT'S
15 NOT A PROBLEM AT THIS POINT, BUT IN THE FUTURE.

16 DR. LOMAX: SO ONE OF THE THINGS IN
17 DEVELOPING THIS SEGMENT OF THE WORKSHOP, I DID A LOT
18 OF INTERVIEWING OF FOLKS WHO WERE INVOLVED IN THE
19 DEVELOPMENT OF THE CONSENT AND THE PROTOCOL. AND
20 IT'S INTERESTING THAT YOUR OBSERVATION IS THAT IT'S
21 VERY BROAD. THERE WAS A VIEW OUT THERE THAT, IN
22 FACT, THE CONSENT IS VERY SPECIFIC FROM THE
23 STANDPOINT OF IN THE NEAR TERM, THIS IS A PROTOCOL
24 THAT INVOLVES A COLLECTION OF MATERIALS THAT WILL
25 THEN BE TRANSFORMED THROUGH THIS PROCESS.

BARRISTERS' REPORTING SERVICE

1 AND SO THE COUNTERPOINT THERE WAS ACTUALLY
2 IT'S QUITE SPECIFIC WITH REGARD TO THE USE OF THE
3 DONATED MATERIALS. AND THEN, AGAIN, THERE MAY BE
4 DIFFERENT VIEWS IN TERMS OF UNDISCLOSED FUTURE USES.
5 BUT BEING EXPLICIT ABOUT THAT STEP WAS ACTUALLY
6 VIEWED AS A SORT OF CRITICAL FACTOR. AND THERE ARE
7 STILL DIFFERING VIEWS OUT THERE AS TO HOW EXPLICIT
8 YOU ARE ABOUT THE REPROGRAMMING ASPECTS OF IT, BUT
9 THERE IS THAT VIEW THAT THAT, IN FACT, IS QUITE
10 SPECIFIC AND CONSISTENT WITH THE COMMON RULE.

11 DR. ROBERT TAYLOR: TO BE MORE SPECIFIC,
12 IT WAS THE NUMBER OF POTENTIAL COLLABORATORS AND THE
13 FUTURE STUDIES THAT YOU HAD. THOSE ARE THE TWO
14 ASPECTS THAT WOULD NOT HAVE FLOWN IN MY IRB.

15 DR. LOMAX: JUST WANTED TO GIVE YOU THE
16 FACT THAT THIS WAS CLEARLY A BACK AND FORTH.

17 DR. ROBERT TAYLOR: THE DERIVATION PART,
18 THAT SOUNDS STRAIGHTFORWARD.

19 CHAIRMAN LO: I'D LIKE TO ASK YOU A
20 QUESTION TO GO BEYOND THE CONSENT FORM. YOU
21 INDICATED THAT A LOT OF THESE POTENTIAL DONORS HAVE
22 MISCONCEPTIONS, IN SOME CASES SERIOUS
23 MISCONCEPTIONS, ABOUT WHAT THIS IS ALL ABOUT. AS
24 PART OF YOUR CONSENT PROCESS, NOT THE FORM, BUT THE
25 SORT OF PROCESS, DID YOU DO ANY FORMAL ASSESSMENT OF

BARRISTERS' REPORTING SERVICE

1 WHETHER THEY UNDERSTOOD KEY FEATURES OF THE
2 RESEARCH, FOR INSTANCE, THAT IT REALLY WASN'T --
3 THIS IS NOT DESIGNED TO OFFER THEM TREATMENT? IF
4 THINGS ARE PATENTED, THEY WOULDN'T GET ANY PAYMENTS,
5 THAT THE CELL LINES COULD BE USED FOR REALLY ALL
6 KINDS OF RESEARCH IF THEY AGREED, NOT JUST
7 PARKINSON'S RESEARCH? DID YOU MAKE ANY PROCESS TO
8 ASSESS WHETHER THEY UNDERSTOOD CERTAIN KEY FEATURES,
9 PARTICULARLY FOR PEOPLE COMING IN WHO SAID WHEN'S MY
10 TREATMENT START. IF THEY AT THE END OF THE CONSENT
11 PROCESS STILL SAID, CAN I MAKE MY APPOINTMENT TO GET
12 THE STEM CELL TREATMENT NEXT MONTH, WOULD YOU ACCEPT
13 THEM AS DONORS TO THIS PROTOCOL?

14 DR. SCHUELE: NO. NO. ON THE OTHER HAND,
15 WE DON'T QUIZ OUR PATIENTS BEFORE WE ENROLL THEM.
16 SO WE HAVE A LIVELY DISCUSSION. AND WITH THE SCHEME
17 THAT I'M PUTTING OUT, WE'RE GOING THROUGH EVERY STEP
18 AND THEY ARE ASKING QUESTIONS. AND THAT'S HOW WE
19 ARE APPROACHING IT. SO I'M NOT SAYING AT THE END OF
20 THE CONSENT PROCESS THEY SAY, OH, BUT WHEN CAN I
21 SCHEDULE MY NEXT APPOINTMENT FOR THE STEM CELL
22 TRANSPLANTATION. NOBODY IS DOING THAT. BUT WHAT I
23 WANTED TO POINT OUT IS THERE IS THIS URGE OR THEY
24 FEEL THE NEED THAT THEY WOULD LIKE AT SOME POINT TO
25 BENEFIT FROM THIS RESEARCH. I THINK THEY ALL

BARRISTERS' REPORTING SERVICE

1 UNDERSTAND IT'S BASIC RESEARCH AT THIS POINT, BUT
2 KIND OF THEY ARE HOPING THAT WE GET TO THE NEXT STEP
3 QUICKER THAN POSSIBLE.

4 CHAIRMAN LO: OTHER QUESTIONS?

5 DR. BOTKIN: I WONDER IF YOU'VE EVER USED
6 OR WOULD ANTICIPATE USING A PATIENT'S LEGALLY
7 AUTHORIZED REPRESENTATIVE TO PROVIDE CONSENT FOR
8 THEIR PARTICIPATION.

9 DR. SCHUELE: IN CASE SOMEONE IS DEMENTED
10 AND HAVE A SPOUSE CONSENT? NO, WE DIDN'T HAVE THAT.
11 SO PARKINSON'S PATIENTS, IN GENERAL, HAVE -- THEY
12 SAY THEMSELVES I HAVE SLOWED THINKING; BUT WHEN YOU
13 DO A FORMAL COGNITIVE ASSESSMENT, THEY SCORE USUALLY
14 NORMAL. THERE ARE SOME ATYPICAL FORMS OF
15 PARKINSON'S WHERE THERE CAN BE DEMENTIA LIKE
16 MULTIPLE SYSTEM ATROPHY DEMENTIA WITH LEWY BODY.
17 FOR THOSE INDIVIDUALS YOU WOULD HAVE TO HAVE A LEGAL
18 REPRESENTATIVE, BUT WE HAVE NOT ENROLLED THOSE
19 INDIVIDUALS.

20 DR. LOCKHART: I WAS WONDERING DO YOU
21 REMEMBER WHAT THE CONSENT LANGUAGE RELATED TO
22 WITHDRAWAL OF CONSENT SAID, AND PARTICULARLY WHAT
23 WOULD HAPPEN FOLLOWING WITHDRAWAL? WOULD
24 DISTRIBUTION OF THE DERIVED CELL LINES CEASE? WOULD
25 THE ORIGINAL MATERIAL, THE ORIGINAL SKIN, IF ANY WAS

BARRISTERS' REPORTING SERVICE

1 REMAINING, IF THAT WERE STILL IN THE BANK, WOULD
2 THAT BE DESTROYED? DO YOU REMEMBER KIND OF WHERE
3 YOU CAME DOWN ON THAT ISSUE?

4 DR. SCHUELE: SO IT SAYS YOU CAN WITHDRAW
5 AT ANY TIME DURING THE STUDY. THE CLINICAL
6 INFORMATION WILL BE DELETED. I THINK THERE ARE
7 SEVERAL POTENTIAL VERSIONS. I WOULD SAY SOMETHING
8 WRONG.

9 DR. LOCKHART: IT'S A VERY PRECISE
10 QUESTION.

11 DR. LOMAX: KEEP IN MIND THAT'S NOT THERE.

12 DR. SCHUELE: IT WOULD BE WRONG, BUT I CAN
13 GO BACK AND FIND IT.

14 CHAIRMAN LO: SO I WANTED TO ACTUALLY USE
15 THIS AS A WAY OF GETTING INTO OUR NEXT ITEM, WHICH
16 IS REALLY CONSIDERING THE MODEL CONSENT FORM THAT
17 CIRM STAFF HAVE DRAWN UP. I WANT TO THANK YOU VERY
18 MUCH FOR SHARING WITH US YOUR EXPERIENCE AT THE
19 PARKINSON'S INSTITUTE AND FOR THE RESEARCH YOU'RE
20 DOING.

21 FIVE-MINUTE BREAK.

22 (A RECESS WAS TAKEN.)

23 CHAIRMAN LO: I WOULD SUGGEST WE TRY AND
24 AT LEAST START BY KEEPING US ON THE BIG COMMENT
25 LEVEL AND NOT COPY EDIT BECAUSE I DO WANT TO KIND OF

BARRISTERS' REPORTING SERVICE

1 MOVE AHEAD AND SAVE TIME FOR NICOLE TO START US
2 THINKING ABOUT THE RETURN OF RESEARCH RESULTS. SO
3 BIG PICTURE COMMENTS. DOROTHY, I SEE YOU'VE GOT A
4 LOT OF COMMENTS HERE. YOU WANT TO START US OFF?

5 DR. ROBERTS: I'VE GOT TO THINK OF WHAT
6 ARE BIG PICTURE AS OPPOSED TO --

7 CHAIRMAN LO: ANYBODY ELSE WANT TO START
8 WHILE DOROTHY IS DELIBERATING HERE?

9 DR. ROBERTS: I CAN JUST QUICKLY GO OVER
10 JUST -- SO ONE COMMENT. I WAS JUST SCRIBBLING ON
11 WHAT GEOFF SENT OUT. ONE IS, AND THIS IS NO
12 PARTICULAR ORDER, A REVIEW OF MEDICAL RECORDS WILL
13 BE CONDUCTED. IS THERE ANY -- I DON'T SEE ANYTHING
14 HERE ABOUT PROTECTION OF THOSE MEDICAL RECORDS. SO
15 THAT WOULD BE AN ISSUE I WOULD WANT TO RAISE.

16 THE DISCOMFORTS AND RISKS, THE RISKS SEEM
17 TO ONLY PERTAIN TO THE PHYSICAL RISKS FROM THE
18 BIOPSY ITSELF WITHOUT DISCUSSING THE RISKS OF THE
19 FUTURE USE OF THE CELLS, WHICH I WOULD THINK WOULD
20 BE MORE IMPORTANT THAN THE RISKS OF THE BIOPSY. AT
21 LEAST I WOULD WANT TO INCLUDE MORE ABOUT THOSE
22 RISKS.

23 THERE'S A PARTICULAR PROVISION FOR CONSENT
24 FOR GAMETE RESEARCH. I JUST WONDERED -- I
25 UNDERSTAND WHY THAT WAS SINGLED OUT BECAUSE, AS WE

BARRISTERS' REPORTING SERVICE

1 SAID IN THE PRIOR DISCUSSION, THERE'S EXTRA
2 SENSITIVITY ABOUT GAMETE RESEARCH, THE CREATION OF
3 HUMAN GAMETES, BUT I WONDER IF THERE AREN'T OTHER
4 USES THAT YOU MIGHT WANT TO ALERT DONORS TO, SOME OF
5 WHICH WE DISCUSSED BEFORE, LIKE INJECTION IN
6 NONHUMAN ANIMALS.

7 I THINK 7.0, THE DISCLAIMER THAT THE
8 PARKINSON'S DISEASE INSTITUTE, PARKINSON'S INSTITUTE
9 USES IS STRONGER THAN THIS TO MAKE IT CLEAR TO
10 DONORS OR RESEARCH SUBJECTS THAT THEY MAY NOT EVER
11 SEE ANY BENEFIT TO THEMSELVES IN TERMS OF A CURE.
12 SO THE CONSENT FORM, HIGHLIGHTING THAT IN BOLD AND
13 ALSO MAYBE STATING IT IN SEVERAL WAYS SINCE IT SEEMS
14 THAT SOME PARTICIPANTS MAY NOT UNDERSTAND THAT.
15 THAT JUST SEEMS TO BE A PARTICULARLY PROBLEMATIC
16 ASPECT THAT THEY MAY NOT UNDERSTAND, AND SO YOU WANT
17 TO BE VERY CLEAR ABOUT THAT.

18 THE ANY OTHER BENEFIT, LIKE IT'S CLEAR
19 THEY'RE NOT GOING TO GET -- THEY WON'T BE PAID FOR
20 PARTICIPATION, BUT I WONDER, AND I CAN'T REMEMBER IF
21 WE TALKED ABOUT THIS BEFORE, THAT THEY MIGHT BE ABLE
22 TO USE THEIR CELLS THEMSELVES. THAT MAY HAVE COME
23 UP LAST YEAR AT THE LAST MEETING. I JUST DON'T
24 RECALL. BUT WHETHER IT'S CLEAR THAT HERE THEY'RE
25 NOT ENTITLED TO ANY PROFITS ASSOCIATED WITH THEIR

BARRISTERS' REPORTING SERVICE

1 PARTICIPATION, BUT WOULD THEY BE ENTITLED TO SOME
2 ACCESS TO THEIR OWN CELLS?

3 THEN I WONDER WITH THE WITHDRAWAL, YOU
4 HAVE A RIGHT TO WITHDRAW. IF YOU DECIDE TO WITHDRAW
5 AFTER YOUR SAMPLE HAS BEEN USED TO GENERATE THE
6 IPSC'S, THEN YOUR MATERIALS WILL BE MADE ANONYMOUS.
7 THAT COULD BE STATED MORE DIRECTLY AS YOU CANNOT
8 WITHDRAW AFTER YOUR SAMPLES HAVE BEEN USED, BUT YOUR
9 MATERIALS WILL BE MADE ANONYMOUS. BECAUSE YOU COULD
10 ARGUE THAT THEY CAN'T WITHDRAW ONCE -- SO THIS COULD
11 BE SEEN AS MISLEADING, MISLEADING THEM INTO THINKING
12 THEY CAN WITHDRAW WHEN REALLY THEY CAN'T WITHDRAW.

13 AND THEN WHAT HAPPENS -- IT SAYS WE WILL
14 RETAIN THE RESEARCH MATERIALS. IS THAT OR SHOULD
15 THEY -- THEN, AGAIN, HOW ARE THEY WITHDRAWING IF
16 THEIR RESEARCH MATERIALS ARE STILL BEING USED? I
17 THINK WE COULD DISCUSS WHETHER THAT SHOULD -- AND
18 MAYBE WE DID ALREADY -- HOW TO DEAL WITH THAT, BUT I
19 THINK IF THE RESEARCH MATERIALS ARE RETAINED, THEN I
20 THINK IT COULD BE CONSIDERED MISLEADING TO TELL THEM
21 THAT THEY HAVE A RIGHT TO WITHDRAW.

22 AND I GUESS THE OTHER THING THAT CAME TO
23 MIND WAS RELATED TO OUR DISCUSSION ABOUT
24 DEIDENTIFICATION, MAKING IT CLEARER THAT EVEN IF THE
25 CELLS ARE MADE ANONYMOUS BY REMOVING ALL LINKS TO

BARRISTERS' REPORTING SERVICE

1 THEIR IDENTITY, THAT THEY MAY STILL BE IDENTIFIABLE.

2 THAT'S JUST WHAT I SCRIBBLED IN. THERE
3 MAY BE MORE THINGS. I DIDN'T READ IT THAT
4 CAREFULLY, BUT THOSE ARE SOME THINGS THAT I NOTICED.

5 CHAIRMAN LO: THOSE ARE VERY HELPFUL
6 COMMENTS. I'M GOING TO SORT OF JUST MAKE THE
7 OBSERVATION, THOSE OF YOU WHO KNOW MORE ABOUT THE
8 COMMON RULE MAY WANT TO COMMENT ON THIS, BUT I
9 BELIEVE YOU HAVE TO SAY IN HUMAN SUBJECTS RESEARCH
10 THAT YOU HAVE THE RIGHT TO WITHDRAW. BUT AS YOU
11 POINTED OUT, DOROTHY, IN FACT, WE'RE SAYING, YEAH,
12 YOU DON'T BECAUSE WE'RE GOING TO KEEP YOUR CELLS,
13 AND THE IPS CELLS ARE GOING TO CONTINUE TO BE
14 DISTRIBUTED.

15 DR. ROBERTS: I'M JUST RAISING THAT THERE
16 MAY BE A WAY TO SAY IT THAT IS MORE TRANSPARENT AS
17 TO WHAT ACTUALLY --

18 CHAIRMAN LO: I AGREE THAT IT'S MORE
19 TRANSPARENT THE WAY YOU DO. I'M JUST WONDERING IF
20 THAT RUNS AFOUL OF THE COMMON RULE. YOU'RE THE
21 LAWYER.

22 DR. ROBERTS: I HAVE TO THINK ABOUT IT
23 MORE.

24 CHAIRMAN LO: JEFF, YOU'VE BEEN HERE, AND,
25 NICOLE, YOU'VE BEEN HERE, SO JUMP IN AND FRANCISCO

BARRISTERS' REPORTING SERVICE

1 AS WELL.

2 DR. BOTKIN: I THINK THAT'S ACTUALLY MY
3 MAIN CONCERN. I THINK OVERALL IT REALLY LOOKS VERY
4 GOOD IN MOST WAYS, BUT I THINK IT SEEMS TO ME THAT
5 FROM WHAT I KNOW ABOUT BIOBANKS IS THE NUMBER OF
6 PEOPLE WHO ACTUALLY WITHDRAW IS VANISHINGLY SMALL.
7 SO WHY NOT JUST TELL THEM IF THEY WANT TO WITHDRAW,
8 WE'LL PULL YOUR SAMPLE AS LONG AS IT'S IDENTIFIABLE.
9 IT SEEMS TO ME YOU CAN MAKE THAT CUT POINT TO SAY
10 ONCE WE'VE DEIDENTIFIED IT, THEN WE CAN'T WITHDRAW
11 IT. BUT IF IT'S STILL IDENTIFIABLE, WE'LL PULL IT
12 AND NOT USE IT ANYMORE. THAT, I THINK, IS MORE
13 CONSISTENT WITH THE NOTION OF BEING ABLE TO ACTUALLY
14 WITHDRAW FROM THE RESEARCH.

15 DR. FEIGAL: WHAT DO YOU DO WITH THE CELL
16 THAT'S ALREADY BEEN DERIVED?

17 DR. BOTKIN: WELL, AS LONG AS YOU HAVE
18 IDENTIFIERS ON IT, IS THERE A REASON TO SAY WE WON'T
19 USE IT ANYMORE?

20 DR. FEIGAL: THIS WAS DISCUSSED ACTUALLY
21 AT THE LAST SWG, ACTUALLY THE ISSUES ABOUT IF YOU'RE
22 TALKING ABOUT THE SAMPLE THAT WAS DONATED OR YOU'RE
23 TALKING ABOUT DERIVED LINE. ONCE THE LINE IS
24 DERIVED, IT COULD HAVE BEEN DISTRIBUTED TO QUITE A
25 FEW INDIVIDUALS. AND YOUR ABILITY TO DO ANYTHING

BARRISTERS' REPORTING SERVICE

1 ABOUT THAT MAY BE QUITE LIMITED. IF YOU ARE TALKING
2 ABOUT THE ACTUAL PIECE OF MATERIAL THAT WAS
3 DEPOSITED, THAT'S A DIFFERENT STORY.

4 DR. LOCKHART: SO I'M MORE FAMILIAR WITH
5 TRADITIONAL BIOBANKING THAN ANYTHING INVOLVING CELL
6 LINES. AND THE MOST COMMON POLICY IS THAT IF YOU
7 HOLD A LINK AND THERE'S A REQUEST FOR WITHDRAWAL,
8 YOU DESTROY WHAT YOU HAVE IN YOUR BANK, BUT DO NOT
9 GO AFTER THINGS THAT HAVE BEEN DISTRIBUTED. PEOPLE
10 DO IT DIFFERENT WAYS, BUT THAT'S THE MOST COMMON.

11 I HAVE SOME PROBLEMS OR CONCERNS ABOUT THE
12 LANGUAGE AS WRITTEN, THAT A PERSON WOULD REQUEST
13 WITHDRAWAL, YOU ANONYMIZE, KEEP THEIR TISSUE, AND
14 STILL DISTRIBUTE. I THINK A DONOR WOULD NOT FIND
15 THAT VERY RESPECTFUL. THEY'RE TAKING THE TROUBLE TO
16 COME AND FIND YOU AND SAY I'M NOT COMFORTABLE WITH
17 THIS, I WANT TO WITHDRAW. AND YOU'RE SAYING, OH,
18 WE'RE JUST GOING TO MAKE IT ANONYMOUS AND KEEP DOING
19 IT. NOW, YOU MIGHT BE ABLE TO DRAW A LINE WITH THE
20 CELL LINE AND SAY THERE'S BEEN A SIGNIFICANT
21 INTELLECTUAL INVESTMENT THERE, IT IS DISTINCT, WE'LL
22 DESTROY WHAT'S IN THE BANK, BUT NOT THE CELL LINE.
23 BUT I THINK WE DO NEED TO TRY AND MAKE THIS A LITTLE
24 CLEARER.

25 AND IF THIS IS GOING TO BE THE POLICY THAT

BARRISTERS' REPORTING SERVICE

1 EVEN IF YOU WITHDRAW, WE WILL KEEP USING AND
2 DISTRIBUTING EVERYTHING, THEN I THINK THAT NEEDS TO
3 BE VERY CLEAR BECAUSE IN THAT CASE YOUR ABILITY TO
4 WITHDRAW IS REALLY VERY TIME DEPENDENT. YOU HAVE TO
5 WITHDRAW BEFORE THE LINE IS CREATED. AND ONCE THE
6 LINE IS CREATED, YOU CAN'T WITHDRAW ANYMORE. SO
7 THAT WOULD NEED TO BE MORE CLEAR IF THAT'S REALLY
8 THE DIRECTION YOU WANT TO GO.

9 DR. PRIETO: I THINK THAT WAS THE POINT I
10 WANTED TO MAKE, SOMETHING ALONG THAT LINE. THE
11 CONSENT SHOULD BE EXPLICIT THAT, YOU KNOW, UP TO THE
12 POINT WHERE YOUR CELLS ARE DEIDENTIFIED AND FURTHER
13 USES OF CELL LINES DERIVED, DISTRIBUTED, ETC., UP TO
14 THAT POINT YOU CAN WITHDRAW, BUT THOSE SUBSEQUENT
15 PRODUCTS OF YOUR PRODUCTS, WITH BETTER, CLEARER
16 LANGUAGE, CANNOT BE WITHDRAWN. AND THAT SHOULD BE
17 CLEAR FROM THE OUTSET.

18 CHAIRMAN LO: LET ME TRY AND PARSE THIS
19 OUT. I'M HEARING A NUMBER OF YOU SAY THAT THE
20 ACTUAL SPECIMEN THAT WAS DONATED, AS LONG AS IT'S
21 IDENTIFIABLE, THE PERSON MAY REQUEST IT TO BE
22 WITHDRAWN -- TO WITHDRAW FROM RESEARCH, AND THEN THE
23 SAMPLE WOULD NOT BE USED TO CREATE IPSC LINES OR
24 DISTRIBUTED. IS THAT A FAIR ASSESSMENT, THAT THE
25 UN --

BARRISTERS' REPORTING SERVICE

1 DR. ROBERT TAYLOR: YOU MIGHT WANT TO BE A
2 LITTLE CLEARER BECAUSE IT'S GOING TO BE AT LEAST TWO
3 STEPS THERE. SO YOU'RE GOING TO HAVE YOUR TISSUE
4 SAMPLE, YOU WILL TAKE EXPLANTS FROM THE TISSUE AND
5 PUT THOSE INTO CULTURE TO ESTABLISH A PRIMARY
6 CULTURE. SO THAT'S GOING TO BE SORT OF YOUR SECOND
7 LEVEL OF MATERIAL DERIVED. AND THEN IT'S PROBABLY
8 AT SOME TERTIARY OR QUATERNARY STEP THAT YOU HAD
9 ACTUALLY PROBABLY INTRODUCED YOUR IPS MAGIC SORT OF
10 GROWTH FACTORS AND TRANSCRIPTION FACTORS. I THINK
11 THERE'S GOING TO BE POTENTIALLY -- SO THE
12 ORIGINAL -- YOU PROBABLY HAVE TO SPECIFY WHERE
13 YOU'RE GOING --

14 CHAIRMAN LO: LET'S TRY AND WORK IT
15 THROUGH. THE EASIEST ONE IS YOU HAVEN'T DONE
16 ANYTHING EXCEPT ARCHIVE IT AND IDENTIFY IT. SHOULD
17 THE PERSON BE ALLOWED TO SAY, NOT GIVE IT BACK, BUT
18 THEY REALLY DON'T WANT IT BACK, BUT DISPOSE OF IT.
19 DOES ANYBODY THINK THAT ONCE THEY GIVE IT TO US,
20 IT'S OURS? SO THAT'S WHAT WE'VE SAID.

21 DR. ROBERT TAYLOR: DEIDENTIFICATION RIGHT
22 UP FRONT.

23 CHAIRMAN LO: THIS IS OPTION 1.

24 DR. ROBERT TAYLOR: SO THAT WOULD MAYBE BE
25 THE --

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: SO I THINK WE HAVE TO SAY
2 THERE'S COMPETING THINGS WE'RE TRYING TO ACCOMPLISH
3 HERE. WE DON'T WANT TO SORT OF SAY TO PEOPLE YOU
4 CAN'T CHANGE YOUR MINDS IF YOU HAVE SECOND THOUGHTS
5 AND JUST SORT OF ITS IRREVOCABLE. ON THE OTHER
6 HAND, YOU DON'T WANT INVESTIGATORS PUTTING
7 SUBSTANTIAL AMOUNTS OF TIME, EFFORT, ENERGY,
8 RESOURCES, CIRM RESOURCES, AND THEN HAVE SOMEONE
9 SAY, OH, WAY DOWNSTREAM I'VE CHANGED MY MIND. STOP
10 EVERYTHING. CANCEL WHAT YOU'VE DONE. AND AS YOU
11 SAID, IT MAY BE IMPOSSIBLE. IT'S ALREADY BEEN
12 DEIDENTIFIED OR IT'S BEEN DISTRIBUTED.

13 SO WE PROBABLY WANT TO GIVE DIFFERENT
14 WEIGHT TO THOSE GOALS AT DIFFERENT POINTS IN THE
15 PROCESS. I THINK, ROB, YOU'RE POINTING OUT THAT THE
16 PROCESS HAS A LOT OF STEPS, AND THEY'RE INCREMENTAL
17 STEPS AND WHERE IS THE TIPPING POINT? WHERE IS THE
18 TURNING POINT?

19 THE OTHER THING IS PEOPLE CAN SAY ONCE YOU
20 GIVE IT TO US, WE'RE GOING TO IMMEDIATELY DEIDENTIFY
21 IT. HEY, CAN'T FIND IT. WHICH ONE IS YOURS? SO IT
22 REALLY GETS DOWN TO RESPECT VERSUS UTILITY,
23 USEFULNESS TO SOCIETY. JEFF, HELP US OUT HERE.

24 DR. BOTKIN: I'M NOT SURE I'M GETTING THE
25 POINT ABOUT WE'VE INVESTED A LOT IN THE CELL LINE;

BARRISTERS' REPORTING SERVICE

1 THEREFORE, WE CAN'T ALLOW YOU TO SAY NO ANY LONGER.
2 IN THE CLINICAL RESEARCH CONTEXT, SOME OF THOSE
3 PATIENTS GET ENORMOUS INVESTMENTS IN THEM, AND THEY
4 STILL CAN SAY NO AT ANY TIME, AND YOU'RE JUST STUCK.
5 IT'S JUST A SHAME. YOU LOSE THAT PATIENT TO THE
6 STUDY.

7 DR. FEIGAL: YOU CAN STILL USE THE DATA.

8 DR. BOTKIN: THAT'S RIGHT. YOU CAN USE
9 THE DATA THAT'S BEEN COLLECTED TO DATE, BUT
10 PROSPECTIVELY YOU CAN'T DO ANYTHING ADDITIONAL. BUT
11 HERE WE'RE STILL WANTING TO SAY EVEN IF THE PATIENT
12 SAYS I WANT TO WITHDRAW, WE'RE STILL GOING TO KEEP
13 THAT LINE IN CIRCULATION. AND IT'S GOT SOMEBODY'S
14 NAME ATTACHED TO IT. I GUESS AT THIS POINT I'M A
15 LITTLE MORE COMFORTABLE WITH BITING THE BULLET AND
16 SAYING IT'S REALLY A SHAME. I DON'T THINK IT'S
17 GOING TO HAPPEN BUT A SCANT FEW TIMES, BUT WHY NOT
18 SAY WE'RE GOING TO HONOR YOUR DESIRE TO WITHDRAW AND
19 PULL THAT LINE OUT OF CIRCULATION. USING WHATEVER
20 DATA THAT'S BEEN GENERATED, I THINK, SO FAR IS
21 PERFECTLY APPROPRIATE.

22 DR. LOCKHART: I THINK JEFF IS RIGHT TO
23 POINT OUT THAT THIS IS LIKELY TO BE A RARE EVENT. I
24 CAN'T REALLY SEE ANY -- PRESUMING THAT YOU DO HAVE A
25 LINK, AND I THINK THAT IS A REASONABLE THING TO

BARRISTERS' REPORTING SERVICE

1 CONSIDER, WERE THE COLLECTION SITE TO BE USING A
2 COMPLETELY ANONYMOUS MODEL, YOU WOULD NEED TO
3 DISCLOSE IN THE CONSENT FORM THAT YOUR SAMPLE WILL
4 BE ANONYMOUS. WE WILL HAVE NO WAY TO WITHDRAW YOUR
5 SAMPLE BECAUSE WE WON'T KNOW WHICH ONE YOU ARE.
6 THAT'S PERFECTLY REASONABLE. YOU WOULD JUST NEED TO
7 BE VERY EXPLICIT THAT THAT WAS THE DESIGN.

8 SO PRESUMING YOU DO HAVE A LINK, I CAN'T
9 SEE A RATIONALE FOR NOT DESTROYING THE PHYSICAL SKIN
10 OR BLOOD SAMPLE. I CAN'T IMAGINE TELLING SOMEONE
11 THAT YOU'RE GOING TO STRIP IT OF IDENTIFIERS AND
12 HOLD ONTO IT. I DON'T REALLY SEE HOW YOU COULD GET
13 THERE.

14 THE CELL LINE, IT MAY BE AS DISTINCT. I
15 COULD MAYBE GO EITHER WAY. BUT IF YOU'RE PRESUMING
16 IT'S A RARE EVENT, I THINK IT'S MORE RESPECTFUL TO
17 CEASE DISTRIBUTION. YOU COULD SAY WE MAY HAVE
18 ALREADY GIVEN IT OUT TO RESEARCHERS. WE WILL NOT
19 TRY AND GET IT BACK FROM THEM. BUT IF YOU JUST
20 THINK ABOUT THE OPTICS OF CREATING A CELL LINE,
21 SOMEONE SAYS, YOU KNOW, I NOW HAVE HAD A CHANGE OF
22 HEART, I REALLY DON'T APPROVE OF THIS ANYMORE. AND
23 YOU SAY, WELL, WE'RE GOING TO KEEP DOING IT. WE'RE
24 GOING TO KEEP SENDING IT OUT THE DOOR. KIND OF
25 THINKING ABOUT THAT.

BARRISTERS' REPORTING SERVICE

1 AND JUST ALSO AS YOU GO DOWN THE ROAD, IF
2 YOU WANT TO GET REALLY COMPLICATED, IF YOU'RE
3 THINKING ABOUT USING ANY PEDIATRIC DONORS AND THEY
4 REACH AGE OF MAJORITY AND WANT TO CEASE USE, SAYING,
5 NO, SORRY. YOU TOTALLY DISAGREE WITH YOUR PARENTS
6 DECISION. WE'RE GOING TO KEEP DISTRIBUTING THAT.
7 THAT'S PROBABLY A LESS LIKELY EVENT. BUT TRYING TO
8 THINK OF PEOPLE WHO MAY COME TO YOU WITH VERY
9 LEGITIMATE REASONS AS TO WHY THEY WANT TO CEASE USE
10 AND CEASE PARTICIPATION IN THE PROJECT, I DON'T
11 THINK IT WOULD BE COMMON OR FRIVOLOUS.

12 CHAIRMAN LO: ANYONE WANT TO ARGUE THE
13 OTHER POSITION, THAT WE'LL GIVE IT BACK IF WE
14 HAVEN'T WORKED ON IT OR MAYBE EVEN IF WE'VE TAKEN AN
15 EXPLANT BECAUSE THAT'S NOT A WHOLE LOT OF WORK? BUT
16 IF WE'VE ACTUALLY GONE AND DERIVED THE STEM CELL,
17 WHICH IS THE MAJOR GOAL OF WHAT WE'RE DOING, WE'RE
18 NOT GOING TO ALLOW YOU TO WITHDRAW THE LINE AT THAT
19 POINT. WE CERTAINLY AREN'T GOING TO ASK FOR THINGS
20 BACK, AND WE CERTAINLY AREN'T GOING -- BECAUSE WE
21 CAN'T PROBABLY BECAUSE WE'VE DEIDENTIFIED THEM TO
22 THE PEOPLE WE'VE SHARED IT WITH, BUT WE WANT TO
23 CONTINUE TO DISTRIBUTE YOUR LINE EVEN THOUGH YOU'VE
24 TOLD US YOU'D LIKE US TO STOP.

25 SO THIS IS A CONVERSATION WE HAD A YEAR

BARRISTERS' REPORTING SERVICE

1 AGO. ANY THOUGHTS?

2 DR. ROBERT TAYLOR: I WAS JUST GOING TO
3 SAY THAT YOU HAVE TO SORT OF THINK THIS THROUGH UP
4 FRONT BECAUSE THERE IS LANGUAGE IN YOUR CONSENT THAT
5 ALLOWS RECONTACT. AND IF YOU ARE GOING TO ALLOW
6 RECONTACT, THEN YOU HAVE TO BE ABLE TO GET BACK TO
7 THE SAMPLE. YOU CAN'T REALLY HAVE IT BOTH WAYS.

8 CHAIRMAN LO: ANONYMIZING, WE DON'T KNOW
9 WHICH IS YOURS, BUT WE WANT TO CONTACT YOU.

10 DR. ROBERT TAYLOR: SO MY IRB WOULD SAY
11 THAT WAS KIND OF NOT A CONSISTENT MESSAGE. SO THOSE
12 ARE THINGS, I THINK, THAT NEED TO BE.

13 ANOTHER THOUGHT THAT I HAD REALLY IN
14 LISTENING TO DR. SCHUELE'S PRESENTATION AND
15 THINKING, UTA, ABOUT YOUR PROPOSAL TO DO KIND OF
16 COMMON DISEASES, MULTIFACTORIAL, MULTIGENIC
17 DISEASES, WHICH ARE THE SORTS OF THINGS THAT I'VE
18 KIND OF STUDIED AS WELL, THE MOTIVATION OF PATIENTS
19 TO PARTICIPATE IN SOMETHING LIKE THAT IN MY
20 EXPERIENCE HAS BEEN PROBABLY CONSIDERABLY LESS THAN
21 IN A CONDITION LIKE PARKINSON'S OR WHERE PEOPLE ARE
22 REALLY SUPER-DUPER MOTIVATED. SO JUST KIND OF A
23 FACTOID, I THINK.

24 DR. FEIGAL: CAN I BRING UP A POINT TOO,
25 AND THIS IS MORE FROM THE RESEARCH END AND JUST TO

BARRISTERS' REPORTING SERVICE

1 PROVIDE ALL THE PERSPECTIVES. THE PURPOSE OF A BANK
2 IS TO HAVE A RESOURCE WHERE RESEARCHERS CAN GO BACK.
3 IF THEY HAVE BEEN DOING A TREMENDOUS AMOUNT OF WORK
4 ON A PARTICULAR CELL, TO BE ABLE TO GO BACK AND GET
5 ACCESS TO IT AGAIN. SO YOU DO NEED TO THINK ABOUT
6 THE PURPOSE FOR WHY WE PUT THE BANK IN PLACE. IT
7 WASN'T REALLY PUT INTO PLACE, AND IF YOU'RE VERY
8 CLEAR IT'S FOR RESEARCH, IT DEFINITELY DIMINISHES
9 THE CREDIBILITY OF BEING A BANK IF THERE'S THE
10 POTENTIAL TO HAVE THE CELL LINE YOU'VE BEEN WORKING
11 ON NO LONGER AVAILABLE.

12 SO I'M JUST SAYING JUST BALANCE WHAT WE'RE
13 GOING TO BE RECOMMENDING BECAUSE THE PURPOSE OF THIS
14 IS NOT AS A THERAPY. IT'S AS A RESEARCH RESOURCE.

15 CHAIRMAN LO: SO LET ME MAKE SOME
16 SUGGESTIONS OF HOW WE MIGHT SORT OF THINK ABOUT
17 APPROACHING THIS. SO IT SEEMS TO ME WHY MIGHT
18 PEOPLE WHO CONSENTED ORIGINALLY LATER ON SAY, OH,
19 I'VE CHANGED MY MIND? FIRST, PEOPLE CHANGE, THEIR
20 VALUES CHANGE, THEY CHANGE THEIR MINDS. SECONDLY, I
21 THINK THEY MAY WELL SAY, GEE, I JUST FOUND OUT THAT
22 IN FACT WHAT YOU'RE DOING IS THIS, AND ACTUALLY I
23 THOUGHT YOU WERE DOING SOMETHING VERY DIFFERENT.
24 AND THEN, OF COURSE, THE RECOURSE IS, NO, IT SAYS
25 HERE RIGHT IN BLACK AND WHITE. IT'S NOT WHAT I

BARRISTERS' REPORTING SERVICE

1 UNDERSTOOD.

2 SO I WOULD SUGGEST A COUPLE -- I'M
3 OFFERING A HYPOTHESIS THAT THE MORE ROBUST WE MAKE
4 THE CONSENT PROCESS UP FRONT, THE LESS LIKELY IT MAY
5 BECOME TO HAVE EVEN A RARER EVENT THAN WITHDRAWAL
6 NOW IS. I WOULD LIKE TO SUGGEST THAT WE THINK ABOUT
7 ASSESSING WHAT PEOPLE UNDERSTAND RATHER THAN JUST
8 SAYING IT'S IN THE FORM.

9 I THINK THERE'S SOME THINGS THAT I WOULD
10 ACTUALLY FLIP IT AROUND EVEN BEYOND WHAT DARPA DID.
11 I'D SAY YOU WILL NOT GET ANY BENEFIT FROM THIS,
12 PERIOD. NOT THAT WE CAN'T PROMISE IT OR WE CAN'T
13 GUARANTEE IT. IT SAYS, YOU KNOW, YOU AND I, WINK,
14 WINK, KNOW THAT THIS IS GOING TO HELP YOU, BUT I
15 CAN'T SAY THAT. I CAN'T TELL YOU THIS THE BEST DEAL
16 ON THE CAR EVER. SO I WOULD REALLY GO OVERBOARD AND
17 SAY, NO, THIS IS REALLY FOR RESEARCH.

18 I THINK WHERE ELSE PEOPLE GET UPSET IS
19 WHERE THEY THINK THERE'S MONEY INVOLVED AND THEY'RE
20 NOT GETTING ANY. SO TO REALLY ASCERTAIN THAT'S
21 WHAT'S GOING TO HAPPEN. AND I THINK YOU COULD
22 CONSIDER A WAITING PERIOD, A COOLING-OFF PERIOD.
23 SIGN IT, THINK ABOUT IT, AND WE'RE GOING TO,
24 WHATEVER, A WEEK, THREE DAYS LATER COME BACK AND
25 WE'RE GOING TO ASK YOU TO GO OVER IT AGAIN.

BARRISTERS' REPORTING SERVICE

1 WE CONSIDERED THIS FOR THE OOCYTE
2 DONATION, AND WE ULTIMATELY REJECTED IT, BUT WE
3 THOUGHT ABOUT IT. I'M JUST OFFERING THAT AS ANOTHER
4 WAY OF TRYING TO MINIMIZE THE NUMBER BECAUSE I AGREE
5 COMPLETELY WITH ELLEN, THAT ONCE YOU PUT IT IN THE
6 BANK AND WANT IT TO BE USED, THEN YOU REALLY WANT TO
7 HAVE IT THERE FOR FUTURE RESEARCHERS.

8 AND THEN I GUESS THE OTHER THING IS HOW DO
9 YOU KEEP THE LINKS BETWEEN OVERT IDENTIFIERS AND THE
10 LINES? ONE WAY TO SAY IS WE'LL MAKE IT REALLY A
11 BANK IS TO SAY AT SOME POINT IT GOES INTO THE BANK,
12 IT'S ANONYMIZED, AND WE REALLY CAN'T TRACE IT BACK.
13 IT ALSO MEANS WE CAN'T GIVE YOU RESULTS BACK. WE
14 CAN'T WALK BACK AND ASK FOR SPECIMENS FROM YOUR
15 RELATIVES. SO THERE'S A DETRIMENT TO THAT. SO
16 THERE ARE ALL THESE TRADE-OFFS, AND I GUESS THEY DO
17 INVOLVE HOW MUCH WE RESPECT PEOPLE'S RIGHT TO CHANGE
18 THEIR MINDS VERSUS HOW MUCH WE'RE REALLY INVESTING
19 IN SOMETHING THAT WE WANT TO BE USEFUL FOR THE
20 SOCIETAL GOOD AND FOR THE RESEARCH KNOWLEDGE.

21 DR. LOCKHART: SOME OF THOSE POINTS YOU
22 JUST BROUGHT UP ARE ALSO REALLY RELATED TO THE
23 SCIENTIFIC DESIGN. ARE YOU DEALING WITH A
24 POPULATION WHERE YOU WANT TO DO FOLLOW-UP AND GO
25 BACK AND GET MORE MEDICAL INFORMATION ABOUT HOW IS

BARRISTERS' REPORTING SERVICE

1 THEIR DISEASE PROGRESSING, DO YOU HAVE AFFECTED
2 SIBLINGS, ALL OF THAT? SO YOU NEED TO MAINTAIN THAT
3 LINK SCIENTIFICALLY. AND THEN YOU'RE ASKING FOR A
4 MUCH DIFFERENT RELATIONSHIP WITH THE PATIENT THAN IF
5 IT'S MAYBE A HEALTHY DONOR OR A MORE COMMON DISEASE
6 WHERE THAT'S LESS NEEDED FROM A SCIENTIFIC
7 RATIONALE. AND SO SOME OF THAT, THE SCIENCE WILL
8 DRIVE SOME OF THOSE KIND OF DESIGN DECISIONS ABOUT
9 HOW LINKS ARE MAINTAINED AND ALL OF THAT.

10 AND I COULD BE -- ABOUT WHETHER TO
11 WITHDRAW THE CELL LINE, I'M KIND OF ON THE FENCE.
12 SO I COULD BE PERSUADED NOT TO WITHDRAW THE CELL
13 LINE AS LONG AS IT'S CRYSTAL CLEAR. AND IF THIS IS
14 GOING TO BE AN ASSESSMENT OF UNDERSTANDING, I WOULD
15 NOMINATE THAT TO BE ONE OF THE POINTS, THAT THEY
16 UNDERSTAND WE WILL NOT BE ABLE TO WITHDRAW THE CELL
17 LINE. AND MAYBE EVEN INCLUDE A SENTENCE OR TWO
18 ABOUT WHY, ABOUT WHY IT'S SO BENEFICIAL TO THE
19 SCIENCE WHY THAT WON'T HAPPEN. SO THAT WOULD NEED
20 TO BE SOMETHING THAT WOULD BE VERY CLEAR. AND
21 SOMEONE COULD DECIDE WHETHER THEY'RE COMFORTABLE
22 WITH THAT.

23 CHAIRMAN LO: OTHER THOUGHTS, COMMENTS?

24 DR. ROBERT TAYLOR: I WAS JUST GOING TO
25 SAY THAT I'VE SPENT REALLY ABOUT THE LAST 20 YEARS

BARRISTERS' REPORTING SERVICE

1 DOING THIS KIND OF CLINICAL TRANSLATIONAL RESEARCH
2 AND HAVE COLLECTED PROBABLY CLOSE TO 3,000 PATIENT
3 SAMPLES. I'VE HAD TWO REQUESTS TO WITHDRAW FROM THE
4 BANK. I THINK THIS REALLY IS -- THEY'RE NOT -- SOME
5 OF THESE ARE KIND OF TRANSIENT PREECLAMPSIA
6 PREGNANCY COMPLICATIONS THAT GET BETTER, SO PEOPLE
7 GO ON AND THEY DON'T REALLY THINK ABOUT IT SO MUCH
8 AS OPPOSED TO SORT OF CHRONIC DISEASES WHERE THEY
9 MIGHT HAVE A DIFFERENT PERSPECTIVE. BUT I DO THINK
10 THIS IS GOING TO BE QUITE A RARE PROBLEM, AND I
11 THINK HAVING THE ABILITY TO, I WOULD ARGUE, SORT OF
12 KEEPING IN THE LINKS, ALLOWING THOSE PATIENTS THAT
13 WANT IT TO PULL THINGS BACK, TO PULL THEM BACK AS
14 FAR AS YOU'RE KIND OF WILLING TO GO, A COUPLE OF
15 STEPS, AND TO HAVE THE OPPORTUNITIES TO GET
16 LONG-TERM FOLLOW-UP AND THAT SORT OF THING, I THINK
17 THAT WOULD BE THE BETTER MODEL.

18 CHAIRMAN LO: SO BOTH JEFF AND ROB HAVE
19 SAID THEY THINK IT'S GOING TO BE REALLY RARE THAT
20 PEOPLE WANT TO WITHDRAW. NICOLE, IS THAT WHAT OTHER
21 BIOBANKS ARE FINDING?

22 DR. LOCKHART: I THINK IN GENERAL IT IS
23 VERY RARE.

24 CHAIRMAN LO: ONE IN A THOUSAND?

25 DR. LOCKHART: PROBABLY. IT ALSO DEPENDS

BARRISTERS' REPORTING SERVICE

1 WHAT POPULATION GROUP YOU'RE WORKING WITH. I THINK
2 IT'S ALSO HARD TO TELL BECAUSE THERE ARE SOME
3 WITHDRAWALS THAT HAPPEN FOR CLINICAL REASONS. SO IF
4 YOU'RE A CANCER BIOBANK AND YOU HAVE THE TUMOR BLOCK
5 THAT THAT PATIENT NEEDS TO GET ON A TRIAL SOMEWHERE,
6 BECAUSE YOU HAVE A FROZEN SPECIMEN AND THEY NEED A
7 FROZEN SPECIMEN, THERE CAN BE A WITHDRAWAL FOR THAT
8 REASON. BUT I THINK IT WOULD BE UNLIKELY THIS BANK
9 WOULD HAVE THAT KIND --

10 CHAIRMAN LO: THE FIBROBLAST SPECIMENS.
11 THEY NEED TO SPIN OFF A FEW.

12 DR. LOCKHART: SO IT'S UNLIKELY THERE
13 WOULD BE A WITHDRAWAL FOR THAT REASON. I WOULD
14 THINK IT WOULD BE RARE. I WOULD THINK YOU'D WANT TO
15 BE CAREFUL ABOUT HOW YOU DESCRIBE THIS THOUGH. I
16 WILL AGREE TO MOST RESEARCH PROJECTS. I WOULD
17 HESITATE TO SIGN THIS AS WRITTEN BECAUSE THE RIGHT
18 TO WITHDRAW IS SO CONFINED.

19 CHAIRMAN LO: SO I GUESS THE OTHER
20 QUESTION IS IS IT WORTH DOING SOME FIELD TESTING
21 WITH GOING TO PROSPECTIVE DONORS, SAYING, LOOK,
22 WE'VE GOT THE DILEMMA HERE, OR JUST RANDOMIZING
23 PEOPLE TO SAY, TAKE A LOOK AT THIS FORM AND GIVE
24 OTHER PEOPLE ANOTHER FORM AND SEE HOW PEOPLE REACT.
25 I THINK THIS IS A WORK IN PROGRESS. YOU ARE GOING

BARRISTERS' REPORTING SERVICE

1 TO MAKE IT BETTER AND BETTER. I THINK ASSESSING
2 WHAT WORKS AND WHAT DOESN'T AND WHAT PEOPLE HAVE
3 TROUBLE UNDERSTANDING THE RATIONALE FOR OR
4 UNDERSTANDING WHAT THEY'RE SIGNING UP FOR IS MAYBE
5 IMPORTANT.

6 DR. BOTKIN: I THINK OHRP HAS SOME
7 GUIDANCE ON THIS THAT'S RELATIVELY RECENT. YOU MAY
8 REMEMBER, NICOLE, OR, BERNIE, BETTER THAN I DO
9 EXACTLY WHAT THAT SAYS, BUT I'M NOT SURE THAT THIS
10 IS CONSISTENT WITH THAT.

11 BUT I GUESS THE OTHER QUESTION IS THIS
12 WILL BE A RECOMMENDED FORMAT THAT THE INSTITUTIONS
13 THEMSELVES. SO PERHAPS RATHER THAN GOING DIRECTLY
14 TO POTENTIAL PARTICIPANTS TO LOOK AT THE LANGUAGE,
15 YOU MAY WANT TO GO TO SOME OF THE INSTITUTIONAL
16 IRB'S AROUND THE STATE AND SAY WOULD THIS PASS
17 MUSTER? DO YOU GUYS HAVE CLEAR EXPECTATIONS ABOUT
18 THE WITHDRAWAL ISSUE THAT THIS ISN'T GOING TO FLY
19 WITH?

20 CHAIRMAN LO: OF COURSE, ANOTHER WAY TO
21 LOOK AT THAT, JEFF, IS TO SAY THE IRB'S ARE
22 STRUGGLING WITH THIS, AND IT MAY BE THAT THEY WOULD
23 WELCOME A DOCUMENT WITH YOUR ANNOTATIONS, JEFF, AS
24 TO WHY -- WE KNOW THIS IS A COMPLEX TOPIC. THIS IS
25 WHY WE CHOSE TO DO IT THIS WAY. WE CONSIDERED THIS

BARRISTERS' REPORTING SERVICE

1 ALTERNATIVE, THAT ALTERNATIVE. WE CHOSE NOT TO AND,
2 IN FACT, WE TALKED TO SOME PATIENTS AND THEY SEEM TO
3 AGREE WITH US.

4 DR. LOCKHART: THE POINT ABOUT THE OHRP
5 GUIDANCE IS AN EXCELLENT ONE. YOU WOULD WANT TO
6 LOOK AT THAT. I THINK THE OHRP GUIDANCE WOULD
7 SUPPORT WITHDRAWING SAMPLES, SO THE ORIGINAL SAMPLES
8 THAT ARE IN THE BANK IF YOU MAINTAIN A LINK. IT
9 DOESN'T ADDRESS CELL LINES. I'M NOT AWARE OF ANY
10 GUIDANCE THAT ADDRESSES CELL LINES. SO I'M NOT SURE
11 WHETHER HOW THEY WOULD CONSIDER THAT BASICALLY. IT
12 IS STILL TIED TO A PATIENT, BUT HAS BEEN
13 MANIPULATED. SO IT WON'T REALLY HELP YOU OUT, I
14 WOULDN'T THINK, ON WHAT TO DO WITH THE CELL LINES.

15 AND ABOUT TALKING TO INSTITUTIONS AND
16 IRB'S, I THINK THAT WOULD BE VERY VALUABLE. AND I
17 THINK THEY REALLY WOULD LIKE JEFF'S ANNOTATED
18 APPROACH, PARTICULARLY IF YOU'RE TRYING TO HARMONIZE
19 ACROSS INSTITUTIONS AND HAVE THEM USE SIMILAR
20 CONCEPTS AND SIMILAR TEMPLATES. TO THE EXTENT THAT
21 YOU CAN TELL THEM THE RATIONALE BEHIND LANGUAGE,
22 THAT CAN BE HELPFUL.

23 DR. LOMAX: I THINK THAT'S THE END POINT.
24 WE WANT TO HAVE THAT AVAILABLE, BUT WE ARE AT A SORT
25 OF POINT SLIGHTLY FURTHER BACK IN OUR GLIDEPATH, AND

BARRISTERS' REPORTING SERVICE

1 THESE COMMENTS ARE PERFECT FOR THE PLACE WE'RE AT AT
2 THIS TIME, AND WE HAVE AN OPPORTUNITY TO GIVE IT ONE
3 MORE ITERATION. THAT WAS THE WHOLE PURPOSE OF LAST
4 YEAR'S WORKSHOP. THIS IS, I THINK, A BIG STEP
5 FORWARD. AND NOW WE NEED TO PROCESS THIS FEEDBACK.
6 AND I FEEL COMFORTABLE IN TERMS OF THE TRAJECTORY OF
7 THIS ONE.

8 CHAIRMAN LO: THIS IS NICELY DONE AND
9 REALLY GOES WELL BEYOND WHAT WE WERE TALKING ABOUT A
10 YEAR AGO. THANKS TO GEOFF FOR SPEARHEADING.

11 DR. LOCKHART: I HAVE OTHER COMMENTS IF WE
12 HAVE TIME JUST QUICKLY. I THINK THIS IS REAL
13 IMPORTANT TO GET THROUGH. THE FIRST THING I NOTED,
14 AND I DIDN'T HAVE A CHANCE TO REALLY CHECK
15 OFFICIALLY, BUT THE READING LEVEL SEEMED VERY HIGH
16 TO ME. SO I THINK YOU COULD PROBABLY ADDRESS THAT
17 AT LEAST INITIALLY THROUGH DOING A LOT OF SENTENCE
18 SHORTENING. THERE'S A LOT OF LONG SENTENCES WITH
19 MANY CLAUSES, WHICH ARE QUITE DIFFICULT TO GET
20 THROUGH. SUPPOSED TO BE EIGHTH GRADE LEVEL. I
21 DIDN'T CHECK IT. I'M SURE IT'S AT LEAST 12, I WOULD
22 BET. SO TRY AND TAKE A LOOK AT THAT.

23 ALSO INCLUDING SOME DEFINITIONS. I DON'T
24 THINK GENE IS DEFINED AT ALL. SOME THINGS LIKE THAT
25 AND MAKING SURE YOU DEFINE CLOSE TO FIRST USE

BARRISTERS' REPORTING SERVICE

1 BECAUSE I THINK PLURIPOTENCY IS PROBABLY USED A
2 COUPLE TIMES BEFORE IT'S DESCRIBED, AND WORDS LIKE
3 THAT ARE VERY INTIMIDATING.

4 I AGREE WITH ALL OF DOROTHY'S COMMENTS
5 ABOUT TALKING MORE ABOUT PRIVACY PROTECTIONS AND
6 CONFIDENTIALITY PROTECTIONS BECAUSE THAT INFORMATION
7 OCCURS MUCH LATER THAN WHERE YOU ACTUALLY MENTION
8 REVIEW OF THE MEDICAL RECORD. SO TRYING TO BRING
9 THAT MORE IN ALIGNMENT. YOU MIGHT WANT TO TALK
10 ABOUT GENETIC PRIVACY SINCE GENETIC SEQUENCING IS
11 MENTIONED.

12 DR. LOMAX: CAN I JUST SAY ONE THING
13 BECAUSE WE GOT COMMENTS IN ADVANCE ON THIS AS WELL.
14 I THINK WE KIND OF SET OURSELVES UP THERE. WE ARE
15 NOT ASSUMING A PRIORI THAT MEDICAL RECORDS WILL BE
16 INVOLVED. IT WAS ACTUALLY KIND OF PUT IN THERE AS
17 A -- IN THE OTHER DOCUMENT YOU WILL SEE IT SAYS ARE
18 YOU CONSIDERING MEDICAL RECORDS, AND IT WAS SORT OF
19 MORE OF A PLACEHOLDER. WE ANTICIPATE THERE COULD
20 VERY WELL BE PROTOCOL WHERE YOU WOULDN'T NEED A
21 MEDICAL RECORD. THERE COULD JUST BE SOME DIAGNOSTIC
22 ASSAY. SO THAT'S WHY WE MOVED TO THAT MEDICAL
23 INFORMATION CONSTRUCT.

24 I APOLOGIZE. IT'S COME UP SO MANY TIMES,
25 AND I REALIZE WE PROBABLY SHOULD HAVE BEEN BETTER

BARRISTERS' REPORTING SERVICE

1 ABOUT LETTING YOU KNOW THAT IT'S NOT NECESSARILY A
2 MEDICAL RECORD DRIVEN PROTOCOL, BUT WE CAN'T RULE IT
3 IN, WE CAN'T RULE IT OUT.

4 DR. LOCKHART: EVEN IF THERE ISN'T MEDICAL
5 RECORD ACCESS, STILL THE SECTION 8.0 DEALING WITH
6 PRIVACY AND CONFIDENTIALITY, I THINK IT WOULD STILL
7 BE GOOD TO SAY A LITTLE BIT MORE ABOUT WHO HAS -- IF
8 THE LINK TO IDENTITY WILL BE MAINTAINED AND WHO
9 WOULD HOLD THAT LINE. THAT'S NOT REALLY DESCRIBED.
10 I KNOW IT'S DIFFICULT WHEN YOU'RE WRITING A TEMPLATE
11 DOCUMENT AND YOU DON'T YET KNOW WHAT THE STUDY LOOKS
12 LIKE, SO THERE MIGHT ALSO BE A LIST OF THINGS YOU
13 PUT ON THE SIDE TO ADDRESS ONCE YOU'RE FURTHER DOWN
14 THE ROAD BECAUSE IT IS HARD TO WRITE A CONSENT FOR A
15 HYPOTHETICAL STUDY.

16 DR. ROBERT TAYLOR: I THINK YOU MIGHT BE
17 SORRY IF YOU TAKE IT OUT, THE MEDICAL RECORDS
18 ACCESS. I WOULD ARGUE ON THE SIDE OF INCLUDING IT.

19 DR. LOMAX: THAT WAS THE IDEA. JUST TO
20 SAY THIS IS WITHIN THE REALM OF POSSIBILITY.

21 DR. ROBERT TAYLOR: BUT YOU HAVE TO
22 DISCUSS THE PRIVACY ISSUES.

23 DR. LOCKHART: I ALSO NOTED THE CONSENT
24 FOR GAMETE RESEARCH, I THINK IT PROBABLY -- I THINK
25 YOU DO NEED TO SEEK AN EXPLICIT CONSENT FOR THAT. I

BARRISTERS' REPORTING SERVICE

1 FOUND IT A LITTLE ALARMING WHEN I WAS READING IT. I
2 WOULD MAYBE SUGGEST SOMETHING THAT SAYS IF YOU DO
3 NOT CONSENT TO GAMETE RESEARCH, THAT RESEARCH WILL
4 NOT BE PERFORMED, TO MAKE THAT VERY, VERY CLEAR.
5 I'M A LITTLE AFRAID THAT SOMEONE WILL HIT THAT
6 SECTION AND WANT NOTHING FURTHER TO DO WITH THIS
7 STUDY. I FIND IT KIND OF SCARY.

8 DR. ROBERT TAYLOR: IN MY PLACE IT WOULD
9 BE LIKE GENETICS WHERE YOU ACTUALLY NEED A SEPARATE
10 SIGNATURE FOR THAT COMPONENT OF THE CONSENT.

11 CHAIRMAN LO: SO ALMOST AFTER YOU'VE DONE
12 THE MAIN CONSENT, SAY, AND BY THE WAY, THERE'S SOME
13 OTHER ADD-ON THAT YOU MAY OR MAY NOT WANT TO
14 PARTICIPATE IN.

15 DR. LOCKHART: AND PUTTING THOSE
16 ADDITIONAL SIGNATURES OR THOSE ADDITIONAL CHECKS AT
17 THE END MIGHT KIND OF MAKE THAT CLEARER. YOU CAN
18 THINK ABOUT WHETHER RESTRUCTURING IT THAT WAY WOULD
19 HELP A LITTLE BIT.

20 IN 6.0 THERE'S A STATEMENT, YOU HAVE THE
21 RIGHT TO PLACE ADDITIONAL RESTRICTIONS ON HOW YOUR
22 SPECIMENS ARE USED. I WAS A LITTLE SURPRISED AT
23 THAT, AND I WAS UNCLEAR AS TO WHAT WAS MEANT BY THAT
24 BECAUSE THERE AREN'T REALLY ANY OTHER CHOICES HERE
25 ABOUT HOW YOUR SPECIMEN CAN BE USED. I DIDN'T KNOW

BARRISTERS' REPORTING SERVICE

1 IF SOMEONE CAN JUST WRITE ON THEIR FORM NO USE FOR
2 SCHIZOPHRENIA RESEARCH. WHAT DOES THAT MEAN? IF
3 YOU'RE GOING TO PUT THAT THERE, THEN I SUDDENLY WANT
4 THE RIGHT TO MAKE ALL KINDS OF DECLARATIONS.

5 DR. LOMAX: AGAIN, THAT IS SOMETHING THAT
6 WE PUT FORWARD ALREADY IN OUR STANDARDS ORIGINALLY.
7 SO PART OF WHAT THIS DOCUMENT IS TRYING TO
8 ACCOMPLISH IS TO PROVIDE A TEMPLATE THAT'S ALSO
9 VIEWED AS COMPLIANT WITH OUR REGULATIONS. THE WAY
10 OUR REGULATIONS ARE CURRENTLY WRITTEN, IT INDICATES
11 YOU HAVE TO TELL PEOPLE THAT. SO I APPRECIATE YOUR
12 COMMENT. I JUST WANTED TO LET YOU KNOW THE GENESIS
13 OF THAT STATEMENT.

14 DR. ROBERT TAYLOR: GEOFF, YOU ALSO HAVE
15 LANGUAGE THERE THAT SAYS YOU CAN'T RESTRICT WHO
16 MIGHT RECEIVE CELL THERAPY THAT COMES FROM YOUR CELL
17 LINE. SO IT'S A LITTLE BIT OF A...

18 DR. LOMAX: THIS WORKING GROUP CAN PERHAPS
19 REVISIT THAT IN THE FUTURE AND DECIDE HOW BEST TO
20 PROCEED.

21 DR. LOCKHART: I THINK ALL THE OTHER MAIN
22 COMMENTS I HAD IS UNDER 7.0, INCIDENTAL FINDINGS ARE
23 DISCUSSED, AND THEY'RE LISTED THERE AS -- THEY
24 APPEAR UNDER THE SECTION ARE THERE ANY POTENTIAL
25 BENEFITS. I WOULD REALLY FEEL MORE COMFORTABLE WITH

BARRISTERS' REPORTING SERVICE

1 NOT PUTTING THAT UNDER BENEFITS. IT MAKES IT APPEAR
2 LIKE A BENEFIT, AND I THINK THERE YOU REALLY
3 INCREASE THE RISK OF A THERAPEUTIC MISCONCEPTION,
4 THAT SOMEONE IS GOING TO THINK THEY SHOULD BE IN
5 THIS STUDY BECAUSE OF THE CHANCE THEY MIGHT FIND OUT
6 SOMETHING. SO TO THE EXTENT YOU CAN PUT THAT IN A
7 SEPARATE SECTION, I THINK THAT MIGHT HELP.

8 AND ALSO, I'M NOT SURE IF YOU REALLY
9 WANTED TO STICK TO JUST INCIDENTAL FINDINGS OR IF
10 YOU ALSO MEAN RESEARCH RESULTS. I DON'T KNOW IF THE
11 DISTINCTION THERE WOULD REALLY BE MEANINGFUL TO A
12 PATIENT.

13 DR. LOMAX: SO YOU MEAN SORT OF
14 GENERALIZED RESULTS, SORT OF GENERAL UPDATES?

15 DR. FEIGAL: WOULDN'T INCIDENTAL BE THINGS
16 THAT ARE OUTSIDE THE SCOPE?

17 DR. LOCKHART: YES.

18 DR. ROBERT TAYLOR: JEFF, YOU MIGHT BE THE
19 EXPERT ON THIS ONE. I REMEMBER WHEN THIS CAME UP
20 WITH HIV RESEARCH. CERTAIN ACCESS TO NON-CLIA DATA
21 AND THINGS LIKE THAT THAT WERE COMING FROM -- IT WAS
22 A PRETTY HOT-BUTTON TOPIC, IT SEEMS TO ME, BACK A
23 DECADE AGO WHERE I THINK ONE OF THE THINGS THAT WE
24 HAD IN OUR CONSENT FORMS WERE THAT IF IT WASN'T
25 COMING FROM A CLIA-CERTIFIED LABORATORY, WE HAD NO

BARRISTERS' REPORTING SERVICE

1 RIGHT OR WE HAD NO OBLIGATION TO TRANSMIT THE DATA
2 FROM THOSE STUDIES TO THE DONORS ESSENTIALLY. I
3 THINK THERE WAS A BIG PUSH REALLY FROM ACT UP TO
4 REALLY KIND OF CHANGE ALL OF THAT, AND I DON'T
5 FRANKLY KNOW WHERE WE STAND TODAY WITH SOME OF THOSE
6 ISSUES.

7 DR. LOMAX: IF I CAN JUST JUMP IN THERE.
8 THE POINT OF, AS I UNDERSTAND IT, STILL YOU CANNOT
9 COMMUNICATE A RESULT TO SOMEONE THAT HAS NOT COME
10 FROM A CLIA-CERTIFIED LAB. SO THE POINT OF THIS
11 SUBCONSENT IS TO SAY IF SOMEONE HASN'T CONSENTED,
12 THEN YOU WOULD NOT MOVE FORWARD ON THE EXPENDITURE
13 OR THE EFFORT TO DO THE VERIFICATION BECAUSE THERE'S
14 NO ABILITY TO DO THE RECONTACT. SO THAT'S THE
15 CONCEPTUAL RATIONALE FOR HIGHLIGHTING THE -- IT'S
16 SPECIFICALLY IN PART, I WOULDN'T SAY SOLELY, BUT IN
17 PART BECAUSE OF THAT NEED TO DO VALUE-ADDED ASSAYS.

18 DR. LOCKHART: THIS LANGUAGE ACTUALLY IS
19 NOT VERY SPECIFIC ABOUT WHAT THOSE FINDINGS WOULD
20 BE. IT'S CONSTRUED AS NEW INFORMATION ABOUT YOUR
21 INDIVIDUAL HEALTH, WHICH IS REALLY BROAD. SO YOU
22 MIGHT WANT TO THINK ABOUT WHETHER YOU WOULD WANT TO
23 SAY SERIOUS OR SIGNIFICANT OR IMPORTANT TO YOUR
24 HEALTH OR SOMETHING. OTHERWISE INFORMATION ABOUT
25 YOUR HEALTH WILL BE ALMOST ANYTHING.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: THIS IS WHAT YOU ARE GOING
2 TO TALK TO US ABOUT, WHETHER IT HAS TO BE ACTIONABLE
3 OR NOT OR CLINICALLY SIGNIFICANT.

4 DR. FEIGAL: I WAS JUST GOING TO COMMENT
5 THERE'S BEEN A LOT OF CONVERSATION ABOUT THIS
6 REGARDING GENOMIC TESTING. AND ACTUALLY THERE'S
7 QUITE A BIT WRITTEN ABOUT IT. I ACTUALLY JUST CAME
8 FROM A PANEL ON THAT AT THE AMERICAN ASSOCIATION FOR
9 CANCER RESEARCH WHERE THEY TALKED ABOUT SOME OF
10 THESE EXACT ISSUES. AND WHETHER THE INCIDENTAL
11 FINDINGS WERE SOMETHING THAT COULD ACTUALLY BE
12 IMPACTFUL OR HAVE SOME -- THERE'S SOME EITHER
13 MEANING INTERVENTION OR THERE'S SOMETHING THAT
14 IMPACTS ON YOUR FAMILY AND FAMILY COUNSELING. SO
15 THERE IS, MAYBE NOT IN STEM CELL, BUT IN OTHER AREAS
16 OF TECHNOLOGY, THERE HAS BEEN QUITE A BIT WRITTEN
17 ABOUT THIS.

18 CHAIRMAN LO: THERE'S A LOT OF THOUGHT
19 ACTUALLY THAT THERE'S SO MUCH THAT YOU ARE GOING TO
20 FIND IN SOMETHING LIKE THIS, YOU DON'T WANT TO SORT
21 OF GIVE THINGS OUT THAT ARE UNVERIFIED, MAY NOT HAVE
22 CLINICAL SIGNIFICANCE, MAY NOT AFFECT CLINICAL
23 DECISION-MAKING.

24 DR. BOTKIN: MY POINT HAS PROBABLY JUST
25 BEEN MADE BY ELLEN AND BERNIE. I THINK THESE KINDS

BARRISTERS' REPORTING SERVICE

1 OF PROTOCOLS ARE PRETTY MUCH ZERO RISK FOR
2 PARTICIPANTS UNTIL YOU GET TO THE POINT WHERE
3 SOMEBODY DECIDES TO GIVE SOME INFORMATION BACK. AND
4 IT MAY BE INFORMATION BACK THAT'S WRONG, UNWANTED,
5 MISINTERPRETED, ETC. SO I VERY MUCH AGREE THAT THIS
6 SHOULDN'T BE IN THE BENEFIT SECTION. AND I WOULD
7 GET AWAY FROM A TERM LIKE "INCIDENTAL" AND MAYBE
8 CALL IT UNANTICIPATED OR SOMETHING AND EXPLICITLY
9 SET THE BAR HIGH, TO SAY IF WE COME ACROSS SOMETHING
10 THAT MIGHT SERIOUSLY IMPACT YOUR HEALTH, DO YOU WANT
11 TO HEAR ABOUT IT KIND OF THING, AND NOT LET
12 INVESTIGATORS STRUGGLE WITH THE MINOR STUFF.

13 ONE OTHER POINT, MORE OF AN ADMINISTRATIVE
14 THING. OUR IRB HAS TRIED TO GET AWAY FROM THE
15 SO-CALLED TIERED CONSENT MODEL, WHICH THIS IS, LOTS
16 OF DIFFERENT CHOICES INTERNALLY BECAUSE WE REALIZED
17 WE DIDN'T HAVE THE SOFTWARE SUPPORT TO BAR CODE THE
18 CONSENT FORMS IN A WAY THAT WE CAN FIGURE OUT WHO
19 SAID WHAT. WE REALLY DON'T WANT PEOPLE HAVING TO
20 PULL OUT THE PAPER FORMS AND FIGURE OUT WHO SAID
21 WHAT FOR WHAT.

22 SO I THINK IT WOULD BE A GREAT
23 CONTRIBUTION TO THE COMMUNITY IF GUYS HAVE A
24 SOFTWARE SUPPORT KIND OF SYSTEM THAT YOU COULD HELP
25 SHARE WITH INSTITUTIONS THAT WOULD ENABLE THEM TO

BARRISTERS' REPORTING SERVICE

1 USE THESE TYPES OF TIERED CONSENTS IN AN EFFICIENT
2 WAY.

3 CHAIRMAN LO: PAT, DIDN'T YOU AND THAD
4 COHANE WRITE SOMETHING SAYING THAT YOU GUYS HAD AN
5 I.T. SUPPORT SYSTEM THAT COULD DO THAT?

6 DR. PAT TAYLOR: NOT TO A GREAT LEVEL OF
7 INTRICACY. I THINK CERTAINLY THE TREND IS TO AVOID
8 TIERED CONSENTS. WHETHER CLARITY IS LOST IN THE
9 PROCESS IS AN OPEN QUESTION.

10 DR. LOCKHART: I THINK FOR SOME THINGS
11 LIKE THE GAMETE RESEARCH, YOU MAY NEED TO HAVE A
12 SEPARATE CONSENT FOR THAT OR RISK LOSING A LOT OF
13 PEOPLE. BUT THEN IN YOUR RFA YOU WOULD WANT TO MAKE
14 CLEAR THAT THEY WILL NEED TO TRACK VARIOUS THINGS,
15 INCLUDING THEY'LL TRACK WITHDRAWAL AS WELL SHOULD
16 THAT RARE EVENT OR ANY OTHER KIND OF RARE EVENT
17 HAPPEN. IT'S POSSIBLE -- YOU CAN THINK ABOUT
18 WHETHER YOU WANT TO DO A SEPARATE CONSENT FOR RETURN
19 OF INCIDENTAL FINDINGS. IN THAT INSTANCE YOU HAVE
20 TO BE PREPARED TO NOT RETURN ANYTHING EVEN WERE IT
21 SOMETHING WHERE YOU FELT A DUTY TO RESCUE WAS
22 INVOKED. IF THEY SAY THEY DO NOT WANT TO KNOW, THEN
23 YOU HAVE TO FEEL COMFORTABLE WITH NOT TELLING THEM.

24 CHAIRMAN LO: OKAY. MORE COMMENTS,
25 THOUGHTS?

BARRISTERS' REPORTING SERVICE

1 DR. PAT TAYLOR: I'LL DEFER MINE UNTIL
2 AFTER I SEE GEOFF'S MAGNUM OPUS. I LIKE TO
3 UNDERSTAND THE REASONS FOR THINGS BEFORE I VOTE.
4 MAYBE I'LL AGREE WITH THEM ALL.

5 DR. LOMAX: LIKE I SAY, A NUMBER OF THESE
6 COMMENTS ARE TERRIFIC. AGAIN, THEY ALL MAKE -- THE
7 VAST MAJORITY OF THINGS, I THINK, WE CAN INCORPORATE
8 AND SO WE'LL JUST UPGRADE IT.

9 I JUST WANT TO POINT OUT I FEEL A LITTLE
10 BAD IF YOU SENSE THAT YOU JUST GOT HIT WITH THIS.
11 IT WAS IN ONE OF MY EARLY E-MAILS, THE LINK TO IT.
12 MAYBE PERHAPS YOU GET A BIT OF E-MAIL FATIGUE, BUT
13 WE REALLY DO TRY TO GET YOU ALL -- I ALWAYS ASSUME
14 YOU'RE GOING TO READ IT ALL ON THE PLANE, SO WE TRY
15 TO GET YOU AS MUCH AS POSSIBLE FOR THE PLANE RIDE.

16 DR. PAT TAYLOR: I ENJOYED READING IT. I
17 WANT MUCH MORE TO READ AS WELL IS THE POINT.
18 ACTUALLY, YOU KNOW, THERE'S SO MANY DIFFERENT WAYS
19 OF WRITING CONSENTS, I ALWAYS TRY AND DEFER TO
20 REASONABLE EXPLANATIONS.

21 DR. LOMAX: I THINK AT SOME POINT WE COULD
22 COME BACK AND DO SOME KIND OF FOCUS GROUP WITH
23 PEOPLE WHO HAVE EXPERIENCE WITH THIS DONOR
24 POPULATION. THAT'S THE KIND OF THING WE ROUTINELY
25 DO WITH OUR GRANTEES. SO I THINK WE'RE ON THE RIGHT

BARRISTERS' REPORTING SERVICE

1 GLIDEPATH HERE.

2 CHAIRMAN LO: THE OTHER THING YOU MAY WANT
3 TO THINK ABOUT, GEOFF, IS BEST PRACTICES AROUND THE
4 WHOLE SUPPORTING INFRASTRUCTURE SO THAT WE HEARD HOW
5 IMPORTANT IT IS TO SORT OF KEEP UP TIES WITH THE
6 DONOR POPULATION AND SORT OF NEWSLETTERS AND UPDATES
7 AND LET THEM KNOW WHAT'S GOING ON IN A GENERAL SENSE
8 CAN BE REALLY HELPFUL IN TERMS OF BUILDING GOODWILL,
9 AND I WOULD ARGUE MAKING IT LESS LIKELY PEOPLE SAY I
10 DON'T LIKE WHAT THEY'RE DOING BECAUSE THEY KNOW WHAT
11 YOU ARE DOING AND THEY CAN SAY, OH, IT'S KIND OF
12 INTERESTING.

13 WITH THAT, LET'S TAKE A DEEP BREATH. WE
14 COULD TAKE A BREAK OR WE COULD JUST TAKE INDIVIDUAL
15 BREAKS, BUT FRANCISCO JUST GAVE ME THE LET'S GO ON
16 SIGN. YOU WANT THE BREAK. LET'S TAKE A FIVE-MINUTE
17 BREAK. THERE'S, I HOPE, SOMETHING LEFT STILL TO
18 EAT. WE'RE GOING TO COME BACK, AND NICOLE'S GOING
19 TO START US ON A DISCUSSION OF THIS TOPIC WE JUST
20 BROACHED, WHICH IS WHAT ABOUT RETURNING RESULTS TO
21 DONORS.

22 (A RECESS WAS TAKEN.)

23 CHAIRMAN LO: WHY DON'T WE RECONVENE.
24 WE'RE HEADING TOWARDS IMMINENT ADJOURNMENT, BUT WE
25 HAVE A LOT OF INTERESTING THINGS TO THINK ABOUT

BARRISTERS' REPORTING SERVICE

1 BEFORE THEN. NICOLE, WHO HAD A LOT OF EXPERIENCE
2 WITH BIOBANKS OF VARIOUS SORTS, HAS VOLUNTEERED TO
3 SORT OF HELP US REALLY THINK ABOUT THIS THORNY,
4 COMPLICATED ISSUE OF RETURN OF RESULTS TO RESEARCH
5 SUBJECTS OR DONORS. OBVIOUSLY WE'RE NOT GOING TO
6 SOLVE THIS BETWEEN NOW AND 4 O'CLOCK, BUT I THINK IT
7 WOULD BE GOOD TO HELP US TO GET STARTED THINKING
8 ABOUT THIS PARTICULARLY AS TO HOW IT MIGHT BE
9 SALIENT WITH THIS RFP THAT'S COMING OUT WITH A
10 THREEFOLD RESEARCH SET OF PROPOSALS THAT CIRM IS
11 GOING TO FUND.

12 THERE IS IN YOUR BRIEFING BOOK A SHEET
13 THAT LOOKS LIKE THIS ON WHICH IS SUMMARIZED SOME OF
14 THE RECENT THINKING ON THIS TOPIC OF RETURN OF
15 RESEARCH RESULTS. SO, NICOLE, THANKS VERY MUCH FOR
16 DOING THIS, AND WE LOOK FORWARD TO HAVING YOU HELP
17 US THINK ABOUT THIS.

18 DR. LOCKHART: SURE. I SHOULD SAY IT'S
19 TITLED "ILLUSTRATIVE OVERVIEW" FOR A REASON. THERE
20 WAS FAR TOO MUCH TO TRY AND FIT IT ALL INTO ONE
21 TABLE, SO I TRIED TO COVER BOTH SOME OF THE MAJOR
22 RECENT PUBLICATIONS AS WELL AS SOME OF THE MORE KIND
23 OF OPPOSING VIEWS THAT ARE OUT THERE. AND FOR THOSE
24 WHO ARE REALLY INTERESTED IN THE TOPIC, THERE IS AN
25 ENTIRE ISSUE OF *GENETIC MEDICINE* WHICH IS COMING OUT

BARRISTERS' REPORTING SERVICE

1 IN APRIL, I BELIEVE, BUT IS CURRENTLY ALL AVAILABLE
2 AS E-PUBS DEVOTED TO RETURN OF RESULTS IN
3 BIOBANKING.

4 AND THEN IN THE WINTER 2011 EDITION OF
5 *JOURNAL OF LAW, MEDICINE, AND ETHICS* WAS ALSO
6 ENTIRELY DEVOTED TO RETURN OF RESEARCH RESULTS AND
7 INCIDENTAL FINDINGS. SO I PULLED SOME OF THE PAPERS
8 FROM THOSE ISSUES, BUT NOT ALL BECAUSE IT WOULD HAVE
9 BEEN 15 OR 20 PAPERS.

10 AND THERE'S ALSO SOME EMPIRICAL LITERATURE
11 IN THIS AREA WHICH I DID NOT COVER DUE TO I DIDN'T
12 HAVE A LONG ENOUGH FLIGHT, AND I WAS COMING FROM THE
13 EAST COAST, SO IT TOOK SOME TIME ANYWAY.

14 AND THIS, AS BERNIE SAID, IS NOT SOMETHING
15 WHERE THERE'S GOING TO BE AN ANSWER TODAY. IT'S
16 REALLY JUST TO TRY AND KIND OF GENERATE SOME
17 DISCUSSION AS TO WHAT SOME OF THE CURRENT THINKING
18 IS. AND THIS IS SOMETHING THAT IS PROBABLY ONE OF
19 THE MOST CHALLENGING ISSUES AROUND RIGHT NOW.

20 SO IF YOU KIND OF LOOK AT SOME OF THE KEY
21 FINDINGS AND SUMMARY ITEMS FOR THE FIRST PROBABLY
22 FOUR PUBLICATIONS, YOU WILL NOTE THAT THERE'S A LOT
23 OF SIMILARITY THERE IN TERMS OF SOME OF THE KEY
24 THINGS THAT WOULD NEED TO HAPPEN IN ORDER FOR A
25 RESULT TO BE RETURNED, THE ANALYTIC VALIDITY, SOME

BARRISTERS' REPORTING SERVICE

1 CALLING OUT SPECIFICALLY THAT RETURNED REPORTS
2 COMPORT WITH APPLICABLE LAW, INCLUDING CLIA, THAT
3 THE RESEARCH PARTICIPANT OPTED TO HAVE THE FINDINGS,
4 THE FINDINGS REVEAL ESTABLISHED AND SUBSTANTIAL RISK
5 OF A SERIOUS HEALTH CONDITION, AND THE FINDINGS ARE
6 CLINICALLY ACTIONABLE. SOMETIMES DIFFERENT WORDS
7 ARE KIND OF CHOSEN AS TO HOW THOSE DIFFERENT
8 CRITERIA ARE DESCRIBED, BUT THOSE ARE COMMONLY NOW
9 STARTING TO BE A CONSENSUS THAT THOSE ARE THE
10 FACTORS THAT WOULD NEED TO BE THERE BEFORE SOMETHING
11 COULD BE RETURNED.

12 HOWEVER, WHEN YOU GET INTO THE AREA OF
13 BIOBANKING, THINGS CAN GET TO BE MORE COMPLEX
14 LARGELY BECAUSE IT STARTS TO BE A MORE COMPLEX
15 SYSTEM. IT'S MUCH DIFFERENT THAN IF YOU HAVE AN
16 INDIVIDUAL RESEARCHER WHO HAS A SAMPLE AND IS DOING
17 RESEARCH AND THEY KNOW WHO THE PATIENT IS. NOW YOU
18 MAY HAVE A PARTY WHO'S INTERCEDING THERE. SO IT
19 COULD DEPEND ON WHO HOLDS THE LINK. IN SOME CASES A
20 SPECIMEN IS COLLECTED AT A COLLECTION SITE, STORED
21 CENTRALLY AT A BIOBANK, THE BIOBANK THEN DISTRIBUTES
22 THE SPECIMEN. THAT'S NOT ALWAYS THE CASE.

23 SOMETIMES THE BIOBANK HAS THE LINK TO IDENTITY, BUT
24 THERE'S NOW MAYBE THREE DIFFERENT ENTITIES INVOLVED.

25 AND SO WHO'S GOING TO DO THAT RETURN

BARRISTERS' REPORTING SERVICE

1 ACTION? WHO'S GOING TO DO THAT REIDENTIFICATION?
2 THOSE ARE ALL THINGS THAT, IF YOU DO HAVE A PLAN TO
3 RETURN RESULTS, YOU WOULD NEED TO HAVE WORKED OUT AS
4 WELL AS ALL OF THESE FINDINGS. EVEN THE ONES WHO
5 ARE MORE OPPOSED TO RETURN WOULD SAY YOU NEED TO
6 HAVE A PLAN. YOU NEED TO FIGURE OUT WHAT YOU ARE
7 GOING TO DO ABOUT THIS ISSUE SO YOU CAN TELL
8 PATIENTS. YOU CAN TELL PATIENTS WHAT THEY SHOULD
9 EXPECT AND KIND OF WHERE ARE YOU GOING TO DRAW THAT
10 LINE.

11 THERE ARE SOME KIND OF COUNTERPOSING
12 PAPERS OUT THERE NOW, MOST NOTABLY ELLEN CLAYTON'S
13 RECENT PAPER AS WELL AS MARIANNA BLEDSOE'S RECENT
14 PAPER THAT ARE MUCH MORE NEGATIVE ON RETURN OF
15 RESULTS, ISSUING A LOT OF CAUTION AND CONCERNS. AND
16 I THINK THOSE ARE ALSO VERY IMPORTANT TO HIGHLIGHT
17 BECAUSE THERE ARE A LOT OF THESE CONSENSUS DOCUMENTS
18 WHICH ARE REALLY KIND OF BUILDING MOMENTUM TO RETURN
19 RESULTS.

20 I THINK IT'S ALSO KIND OF GOOD TO THINK
21 ABOUT, WELL, THERE'S THESE OTHER PEOPLE ALSO QUITE
22 SMART WHO HAVE SOME HESITATION. SO WHAT ARE THEY
23 KIND OF THINKING?

24 ELLEN CLAYTON ARGUES THAT THE EXPANSION OF
25 THE SCOPE TO RETURN INDIVIDUAL RESEARCH RESULTS MAY

BARRISTERS' REPORTING SERVICE

1 RESULT IN FAR-REACHING ETHICAL AND LEGAL DUTIES. SO
2 HERE SHE'S WORRIED THAT THE CONSENSUS, IF THERE'S
3 THIS BUILDING CONSENSUS TO RETURN RESULTS, THAT THAT
4 COULD LEAD TO A NEW STANDARD OF CARE, THAT IT'S NOW
5 PERCEIVED THAT THE STANDARD OF CARE IS TO RETURN.
6 AND IF YOU DO NOT RETURN, THEN YOU ARE NEGLIGENT.
7 AND SO SHE'S KIND OF WORRIED ABOUT THESE DOWNSTREAM
8 EFFECTS.

9 ALSO TRYING TO DRAW THE LINE BETWEEN WHAT
10 IS RESEARCH AND WHAT IS CLINICAL CARE. THE PURPOSE
11 IS RESEARCH IS TO PRODUCE GENERALIZABLE KNOWLEDGE,
12 AND THAT ENDORSING RETURN CAN GENERATE MORE --
13 INCREASES THE RISK OF A THERAPEUTIC MISCONCEPTION ON
14 THE PART OF PATIENTS. IF YOU'RE TELLING THEM
15 THEY'RE GOING TO RECEIVE ALL THESE RESULTS, YOU CAN
16 SEE HOW THEY WOULD START THINKING THAT THIS RESEARCH
17 IS FOR THEM. IT'S TO HELP THEM. YOU ARE GOING TO
18 TELL THEM THINGS, INFORMATION THEY WOULD HAVE NO
19 OTHER WAY OF GETTING POSSIBLY.

20 THERE ARE ALSO CONCERNS ABOUT COST. AND I
21 THINK THIS POINT, THAT'S REALLY DIFFICULT TO KIND OF
22 IMAGINE WHAT COST WOULD LOOK LIKE IN THIS INSTANCE.
23 BUT YOU DO NEED TO START THINKING ABOUT, FOR
24 EXAMPLE, ESPECIALLY IN A BIOBANKING SYSTEM, YOU NEED
25 SOMEBODY TO DETERMINE WHAT TO RETURN. SO THERE'S

BARRISTERS' REPORTING SERVICE

1 GOT TO BE SOME KIND OF BODY, SOME DELIBERATIVE BODY
2 WHO MAYBE IS MAKING THESE DECISIONS. THERE WOULD
3 NEED TO BE SOMEONE WHO'S HAVING THAT CONVERSATION
4 WITH PATIENTS. PRESUMABLY YOU WOULD WANT THAT
5 PERSON TO BE TRAINED IN A RELEVANT FIELD. SO MAYBE
6 THEY'RE A GENETIC COUNSELOR. THAT WOULD ALL NEED TO
7 COME FROM SOMEWHERE.

8 ALSO REPEAT TESTING, OF COURSE, IS ANOTHER
9 HUGE ONE. MOST RESEARCH DOES NOT HAPPEN IN A
10 CLIA-APPROVED LAB. THERE'S SOME OTHER THINGS
11 RELATED TO THAT I'LL GET TO FROM BLEDSOE'S PAPER.

12 AND THEN JUST THAT WHEN YOU THINK ABOUT
13 RETURN OF INDIVIDUAL RESEARCH RESULTS AND DOING THAT
14 WELL WITHIN THE CONFINES OF OUR HEALTHCARE SYSTEM,
15 WE HAVE MISCOMMUNICATIONS AND PATIENTS NOT GETTING
16 THE INFORMATION THEY NEED FROM THEIR PHYSICIANS IN
17 OUR CURRENT HEALTHCARE SYSTEM. THIS IS A WHOLE
18 OTHER TYPE OF DUTY, AND IT WOULD MOST LIKELY BE
19 ABOUT INFORMATION THAT PHYSICIANS ARE NOT AS
20 FAMILIAR WITH. SO IF YOU HAND A PRIMARY CARE
21 PHYSICIAN A RISK PORTFOLIO AND SAY COMMUNICATE THIS
22 TO YOUR PATIENT, THAT'S NOT WITHIN THEIR CURRENT
23 BOUNDS OF PRACTICE OR KNOWLEDGE. IT WOULD BE VERY
24 CHALLENGING FOR THEM.

25 SO TRYING TO THINK ABOUT HOW THIS WOULD

BARRISTERS' REPORTING SERVICE

1 FIT INTO CURRENT CLINICAL PRACTICE SO THAT IT IS
2 EFFECTIVE FOR PATIENTS. THOSE ARE ALL KINDS OF -- I
3 THINK WE CAN ALL KIND OF INTUITIVELY UNDERSTAND WHY
4 RETURN OF RESULTS MIGHT BE A GOOD IDEA, BUT YOU DO
5 NEED TO THINK ABOUT SOME OF THE DOWNSTREAM
6 RAMIFICATIONS AS WELL.

7 THE PIECE FROM BLEDSOE IS REALLY KIND OF
8 THE BIOBANKER PERSPECTIVE. ALL OF THOSE AUTHORS ARE
9 VERY INVOLVED IN ISBER, THE INTERNATIONAL SOCIETY
10 FOR BIOLOGICAL AND ENVIRONMENTAL REPOSITORIES, WHICH
11 IS THE MAJOR BIOBANKING PROFESSIONAL SOCIETY. A
12 COUPLE OF THEM ARE PAST PRESIDENTS, SO THEY'RE VERY
13 MUCH ON THE GROUND BIOBANKER KIND OF PEOPLE.

14 SO THEY RAISE A LOT OF MORE IMPLEMENTATION
15 CHALLENGES FROM THEIR EXPERIENCES FROM BIOBANKS
16 THEY'RE FAMILIAR WITH ABOUT THINGS THAT WOULD BE
17 VERY CHALLENGING.

18 ONE THING I DON'T THINK PEOPLE NECESSARILY
19 THINK ABOUT IS JUST IF YOU'RE GOING TO RETURN
20 RESULTS, AS WE MENTIONED BEFORE, YOU NEED TO
21 MAINTAIN A LINK TO THE PARTICIPANT IDENTITY. YOU
22 NEED A NAME AND YOU NEED SOME CONTACT INFORMATION.
23 SO THAT TO SOME PEOPLE MIGHT POSE AN INCREASED
24 PRIVACY RISK. YOU MIGHT NEED TO MAKE SURE THAT
25 INFORMATION IS UP TO DATE. YOU COULD, OF COURSE,

BARRISTERS' REPORTING SERVICE

1 PUT IN PLACE VARIOUS FIREWALLS OR KIND OF TRUSTED
2 INTERMEDIARY PEOPLE TO HOLD THAT INFORMATION, BUT IT
3 IS SOMETHING TO CONSIDER.

4 IN KIND OF RELATION TO THE NEED TO REPEAT
5 TESTING IN CLIA, THERE'S ALSO A LOT OF REQUIREMENTS
6 AROUND CHAIN OF CUSTODY. SO IF YOU ARE GOING TO
7 RETURN RESULTS, YOU NEED TO VALIDATE THAT YOU ARE
8 PROPERLY TRACKING, LABELING, ALL OF THAT, YOU KNOW
9 WHAT HAPPENED FROM WHEN THE SPECIMEN WAS COLLECTED,
10 WHO HAS TOUCHED IT SO THAT YOU CAN MAKE SURE YOU'RE
11 RETURNING RESULTS TO THE RIGHT PERSON. AND YOU
12 NEED, IF YOU ARE GOING TO RETURN AND YOU'RE GOING TO
13 REDO THE TEST IN A CLIA-APPROVED LAB, YOU MAY NEED
14 SOMETHING TO RETEST. SO IF IT'S A BLOOD DRAW, IT'S
15 NOT A BIG DEAL. IF IT'S A TISSUE SPECIMEN, IT MIGHT
16 BE A BIGGER DEAL. SO JUST MAKING SURE THAT THOSE
17 KIND OF CHAIN-OF-CUSTODY QUESTIONS ARE ADDRESSED.

18 FROM THE BIOBANKING PERSPECTIVE, SOME
19 BIOBANKERS HAVE CONCERNS ABOUT LEGAL LIABILITY,
20 PARTICULARLY WHEN THE RESULTS ARE GENERATED BY
21 SECONDARY RESEARCHERS OVER WHOM THEY HAVE NO
22 CONTROL. THEY DON'T NECESSARILY WANT TO BE THE ONES
23 TAKING ON THE ONUS OF THAT RESPONSIBILITY AND
24 VOUCHING FOR THEM.

25 GENERAL KIND OF INFRASTRUCTURE

BARRISTERS' REPORTING SERVICE

1 REQUIREMENTS FOR RETURN, WHICH I ALREADY TALKED
2 ABOUT A LITTLE BIT. THE RELATIONSHIP QUESTION, WHO
3 HOLDS THE RELATIONSHIP WITH THE SPECIMEN? THIS IS
4 PARTICULARLY IF YOU'RE THINKING ABOUT WHAT IS THE
5 ROLE OF THE BIOBANK IN THIS PROCESS. THEY MAY OR
6 MAY NOT HAVE ANY RELATIONSHIP WITH THE PATIENT. IT
7 DEPENDS. THERE'S SOME LONGITUDINAL COHORT STUDIES
8 WHERE THE BIOBANK DOES HAVE A CLOSE RELATIONSHIP
9 WITH THE PATIENTS. BUT IN OTHER CASES, THEY MAY
10 HAVE ABSOLUTELY NO RELATIONSHIP. THEY MAY JUST BE
11 THE CENTRALIZED STORAGE FACILITY. SO THAT CAN BE
12 KIND OF DIFFICULT AS WELL.

13 AND THEN, AGAIN, JUST THE QUESTION OF
14 RESOURCES. BUT EVEN BLEDSOE, THIS GROUP WHO IS MUCH
15 MORE CAUTIOUS ABOUT RETURN, THEIR KIND OF OVERALL
16 TAKE-HOME RECOMMENDATION IS THAT BIOBANKS DO NEED TO
17 DEVELOP AN ETHICALLY DEFENSIBLE POLICY AND
18 PROCEDURES FOR IF, WHEN, AND HOW TO RETURN RESULTS.
19 SO THAT'S KIND OF THE THING THAT PRETTY MUCH
20 EVERYONE CAN AGREE ON. YOU NEED A PLAN ABOUT WHAT
21 YOU'RE GOING TO DO IN REGARDS TO THIS ISSUE. YOU
22 CAN COME AT IT FROM A LOT OF DIFFERENT PERSPECTIVES,
23 BUT IT'S NOT REALLY SOMETHING TO IGNORE AT THIS
24 POINT. YOU NEED TO FIGURE OUT HOW YOU WILL ADDRESS
25 IT.

BARRISTERS' REPORTING SERVICE

1 ONE OTHER KIND OF ARTICLE I PULLED OUT
2 BECAUSE I THOUGHT IT WAS INTERESTING WAS THIS
3 ARTICLE BY BESKOW ABOUT OFFERING AGGREGATE RESULTS
4 TO PARTICIPANTS. AND THEY HAVE SOME RECOMMENDATIONS
5 AROUND HOW TO DO THAT. AND I THINK THAT, AS THE
6 INDIVIDUAL FROM THE PARKINSON'S INSTITUTE POINTED
7 OUT, THAT THAT CAN ALSO BE A VERY REWARDING THING
8 FOR PARTICIPANTS TO JUST LET THEM KNOW HOW THEIR
9 CONTRIBUTION IN GENERAL IS ADVANCING SCIENCE. AND
10 IT'S SOMETHING THAT PEOPLE TALK ABOUT A LOT.
11 EVERYONE AGREES AGGREGATE RESULTS OR GENERAL RESULTS
12 SHOULD BE RETURNED. IT'S NOT DONE VERY WELL OR VERY
13 OFTEN. SO THINKING ABOUT HOW THAT CAN HAPPEN IN A
14 BETTER, MORE COMPREHENSIVE WAY BECAUSE SOMEONE MAY
15 NEVER GET AN INDIVIDUAL RESEARCH RESULT. IN MANY
16 WAYS, IF THEY DID, IT MAY NOT BE GOOD NEWS. BUT
17 KNOWING THAT THEIR LITTLE SKIN BIOPSY GREW INTO A
18 CELL LINE AND IT IS DOING GREAT THINGS AND IT'S
19 GROWING WELL, AND IF THERE'S SOMEONE WHO IS VERY
20 ENGAGED IN THAT RESEARCH, IF THEY HAVE A DISEASE OR
21 THEIR FAMILY MEMBER HAS A DISEASE, I THINK THAT
22 WOULD BE VERY REWARDING TO THEM TO KNOW THAT, HEY,
23 THEY ARE USING MY SPECIMEN AND I CONTRIBUTED IN A
24 VERY REAL WAY.
25 THE LAST THING I PUT TOGETHER WAS JUST A

BARRISTERS' REPORTING SERVICE

1 SAMPLE, NOT REPRESENTATIVE OR INCLUSIVE LIST, OF
2 DIFFERENT PROJECTS THAT ARE CURRENTLY IMPLEMENTING
3 RETURN OF RESEARCH RESULTS IN SOME WAY. AND MAYBE
4 GEOFF CAN SEND OUT THE ACTUAL WORD FILE FOR THIS
5 BECAUSE IT'S ALL HYPERLINKED. SO IF YOU'RE
6 INTERESTED IN A PARTICULAR PROJECT, YOU CAN GO TO
7 THE PROJECT WEBSITE. IF THEY HAVE A PUBLICATION, I
8 LISTED IT JUST TO KIND OF GET A FEEL FOR WHAT'S
9 HAPPENING IN THE COMMUNITY BECAUSE I THINK THERE'S
10 REALLY GOING TO BE A LOT OF KNOWLEDGE GAINED AS
11 PEOPLE TRY TO START DOING THIS IN A REAL WAY. A LOT
12 OF EMPIRICAL RESEARCH HAS BEEN MORE HYPOTHETICAL IN
13 NATURE. WHAT DO PEOPLE WANT, BUT A LOT OF THAT IS
14 WHAT DO THEY THINK THEY WANT.

15 SO I THINK ONCE RESEARCHERS ARE ON THE
16 GROUND GIVING BACK THIS TYPE OF INFORMATION, SEEING
17 HOW PEOPLE ACTUALLY FELT ABOUT IT, WHAT DID THEY
18 UNDERSTAND, HOW COULD IT BE IMPROVED, I THINK THERE
19 WILL BE A LOT TO LEARN AS THOSE EVOLVE.

20 DR. LOMAX: JUST ONE THING TO POINT OUT,
21 UNLESS ANYONE HAS ANY OBJECTIONS, WHAT I WILL
22 ACTUALLY DO IS WE'LL ASSOCIATE THESE DOCUMENTS WITH
23 THE MEETING AGENDA, AND THEY'LL BE AVAILABLE ON THE
24 WEB. SO THAT WAY IT AVOIDS HAVING TO SEND
25 EVERYTHING OUT, BUT WE STILL MAINTAIN ACCESS.

BARRISTERS' REPORTING SERVICE

1 ONE OTHER THING, YOU REMINDED ME OF THIS,
2 IT WAS A CONVERSATION WITH ONE OF OUR INSTITUTIONS
3 WHEN YOU WERE KIND OF TALKING, GOING THROUGH THE
4 POINTS ABOUT IT'S EXPENSIVE, ALL THE THINGS THAT
5 MAKE IT UNLIKELY THAT YOU WOULD GO THAT PATH.
6 SOMEBODY ELSE ALSO POINTED OUT IN VERY PARTICULAR
7 TERMS. IT'S ONE THING TO HAVE THIS DISCUSSION WHERE
8 YOU ARE USING PRIMARY SAMPLES; BUT IF WE'RE TALKING
9 ABOUT THE USE OF IPS CELLS, WE DON'T EVEN KNOW WHAT
10 THAT CELL REPRESENTS ANYMORE. THE TRANSFORMATIVE
11 NATURE OF THE SAMPLE MEANS THAT WE WOULD -- HIS VIEW
12 WAS HE COULDN'T IMAGINE A SCENARIO WHERE YOU WOULD
13 EVEN INITIATE THE PROCESS BECAUSE YOU'RE NOT DEALING
14 WITH SOMETHING THAT IS ANY LONGER ASSOCIATED WITH
15 THE INDIVIDUAL. IT'S A TRANSFORMED ENTITY.

16 DR. ROBERT TAYLOR: UNLESS IT WERE
17 GENOTYPE BASED.

18 DR. LOCKHART: THAT WAS KIND OF A QUESTION
19 I HAD FOR YOU ALL BECAUSE I'M NOT ENOUGH OF AN
20 EXPERT IN THAT AREA TO KNOW WHAT THAT CORRELATION
21 WOULD BE. AS YOU THINK THROUGH THIS, THAT MIGHT
22 BE -- THAT SEEMS LIKE AN EXCELLENT RATIONALE FOR
23 LIMITING WHAT YOU RETURN. IF A LOT OF THINGS THAT
24 PEOPLE ARE GOING TO FIND ARE NOT GOING TO BE RELATED
25 TO THE PERSON ANYMORE, THIS IS A TRANSFORMED CELL

BARRISTERS' REPORTING SERVICE

1 LINE, SO YOU MIGHT WANT TO DRAW -- KIND OF THINK
2 THROUGH WHAT ARE THE KINDS OF RESULTS PEOPLE WOULD
3 FIND AND WOULD IT RELATE TO THE PERSON ITSELF? I
4 DON'T KNOW ENOUGH ABOUT THE SCIENCE THAT WOULD BE
5 CONDUCTED TO SEE WHAT THAT WOULD LOOK LIKE, BUT I
6 THINK THAT'S A REALLY GOOD POINT IS IF YOU'RE MOSTLY
7 THINKING ABOUT POLICIES FOR THE CIRM IPSC BANK,
8 THAT'S MUCH DIFFERENT THAN PROJECTS WHERE THEY'RE
9 TAKING A PRIMARY SAMPLE, PLANNING ON DOING HUGE
10 AMOUNTS OF IN-DEPTH GENOMIC SEQUENCING, IT'S A VERY
11 DIFFERENT KIND OF RESEARCH. YOUR PLAN NEEDS TO BE
12 BASED ON WHAT YOU ANTICIPATE DOING.

13 CHAIRMAN LO: LET ME GIVE AN EXAMPLE THAT
14 WAS ACTUALLY PUBLISHED FROM A GROUP OF RESEARCHERS
15 IN MUNICH WHO ARE STUDYING IPS CELLS AS A MODEL OF A
16 CERTAIN TYPE OF FAMILIAL CARDIAC ARRHYTHMIA
17 ASSOCIATED WITH SUDDEN DEATH, AND THEY DERIVED
18 CARDIOMYOCYTES FROM IPS CELLS. YOU COULD PROBABLY
19 DO IT BY DIRECT REPROGRAMMING NOW. WENT ON TO DO
20 ACTUALLY EVOKED POTENTIALS ON THE INDIVIDUAL CELLS
21 AND THEN TESTED THE CELL'S RESPONSIVENESS TO CERTAIN
22 STANDARD DRUGS AND TREATMENT AND SHOWED THAT, IN
23 FACT, THOSE WERE VERY SENSITIVE TO A CERTAIN
24 STANDARD DRUG THAT'S COMMONLY USED.

25 ONE CAN IMAGINE GOING FURTHER AND ALSO

BARRISTERS' REPORTING SERVICE

1 FINDING OUT IT ACTUALLY IS NOT RESPONSIVE TO OTHER
2 DRUGS OR THAT THERE'S AN ADVERSE REACTION.

3 I GUESS THE QUESTION IS, WELL, TO WHAT
4 EXTENT ARE THESE REALLY RELATED TO THE ORIGINAL
5 DONOR? COULD YOU SOMEHOW IN THE REPROGRAMMING HAVE
6 CHANGED THINGS? SO THAT'S THE KIND OF UNCERTAINTY.
7 OR DO YOU WANT TO SHARE THAT UNCERTAINTY WITH THE
8 PATIENT OR THE DONOR, PRESUMABLY THE PHYSICIAN?
9 DIFFERENT PEOPLE MAY HAVE DIFFERENT LEVELS OF
10 WANTING TO KNOW THAT IT MAY NOT RISE TO THE LEVEL OF
11 SORT OF ACTIONABLE BY A SORT OF EXPERT CONSENSUS
12 COMMITTEE.

13 BUT I THINK YOUR QUESTION OF WHAT SORTS OF
14 INFORMATION FROM THE TYPES OF RESEARCH THAT WOULD BE
15 DONE ON THIS WOULD MEET CRITERIA SORT OF BROADLY
16 BASED FOR SAYING IT WOULD BE REASONABLE TO OFFER
17 THIS BACK TO PATIENTS, TO DONORS WHO MAY ALSO BE
18 PATIENTS WHO MAY WANT TO KNOW.

19 DR. LOCKHART: JUST IF YOU THINK A LITTLE
20 BIT ABOUT THAT SCENARIO, HOW COULD YOU REPRODUCE
21 THAT EXPERIMENT IN A CLIA LAB. THERE'S NO WAY TO DO
22 THAT. IT'S JUST A MUCH DIFFERENT KIND OF RESEARCH
23 THAN I THINK WHAT HAS BEEN DISCUSSED TO DATE. TODAY
24 A LOT OF IT FOCUSES ON GENETIC RESEARCH, AND THIS IS
25 KIND OF MUCH MORE REMOVED.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: COMMENTS, THOUGHTS? JEFF
2 THEN FRANCISCO.

3 DR. BOTKIN: THIS IS A REALLY HELPFUL
4 SUMMARY FOR ME, AND I'M GLAD TO SEE SOME MORE
5 LITERATURE EMERGING THAT SORT OF PUSHES BACK BECAUSE
6 I'VE BEEN IN THE CLUB OF FOLKS TO SAY THIS IS A CAN
7 OF WORMS. AND AS AN ETHICAL OBLIGATION TO RESEARCH
8 PARTICIPANTS, WE HAVE A STRONG OBLIGATION NOT TO
9 MAKE THEM WORSE, BUT NOT SO MUCH OF AN OBLIGATION TO
10 MAKE THEM BETTER. SO IF YOU DON'T RETURN ANYTHING,
11 YOU'VE NOT MADE ANYBODY WORSE OFF. YOU'VE JUST
12 PERHAPS MISSED AN OPPORTUNITY TO HELP THEM.

13 AND SO I'M VERY MUCH IN THE CLUB OF FOLKS
14 WHO THINK YOU NEED TO SET A VERY HIGH STANDARD FOR
15 RETURN OF RESULTS, AND THAT THESE SORTS OF CRITERIA
16 MAKE A LOT OF SENSE, BUT YOU CAN SET THAT BAR PRETTY
17 HIGH TO SAY AN HNPCC MUTATION OR BRCA 1 OR SOMETHING
18 OF THAT MAGNITUDE IS WHAT WE'RE TALKING ABOUT HERE.

19 THE OTHER WRINKLE THAT I'VE NOT SEEN ANY
20 LITERATURE ON THIS PIECE OF IT IS HOW DO YOU START
21 THIS CONVERSATION WITH PEOPLE? IN CLINICAL TESTING
22 CONTEXT, YOU'VE GOT A HIGH RISK FAMILY. YOU CAN SAY
23 DO YOU WANT GENETIC TESTING OR NOT, AND WE KNOW LOTS
24 OF PEOPLE SAY NO. I'M NOT INTERESTED.

25 IN THIS CONTEXT, YOU CALL THEM UP AND SAY

BARRISTERS' REPORTING SERVICE

1 REMEMBER THAT SKIN BIOPSY YOU GAVE US FIVE YEARS
2 AGO? WELL, WE FOUND SOMETHING. YOU WANT TO KNOW
3 WHAT IT IS? HOW IS SOMEBODY GOING TO SAY NO TO
4 THAT? EVEN WHEN THEY MAY BE OF A PERSONALITY TYPE
5 WHO IS ACTUALLY NOT INTERESTED IN THIS KIND OF
6 STUFF, BUT YET YOU'VE FUNNELED THEM INTO THIS SYSTEM
7 WHERE PRETTY MUCH THEY'VE GOT TO KNOW WHAT IT IS
8 THAT YOU FOUND.

9 SO I'VE NOT SEEN GOOD LITERATURE ON
10 ACTUALLY HOW THAT CONVERSATION, HOW THAT CONTACT
11 WORKS TO GIVE PEOPLE A FAIR CHOICE TO SAY NO.

12 DR. PRIETO: I THINK I HAVE TO AGREE WITH
13 JEFF. AND THIS SORT OF MAKES ME THINK AS A
14 CLINICIAN. AND I WOULD WANT US TO KIND OF PUSH BACK
15 AGAINST THIS NOTION OF HAVING TO REPORT EVERYTHING.
16 UNLESS THERE'S SOMETHING SERIOUS, VERIFIABLE, AND/OR
17 SOMETHING THAT INDICATES AN IMMINENT RISK, PUT THOSE
18 TOGETHER, THAT'S A BAR YOU'RE ALMOST NEVER GOING TO
19 REACH, PROBABLY NEVER GOING TO REACH. WE'RE MUCH
20 BETTER OFF NOT RUNNING THAT RISK OF POTENTIALLY
21 HARMING PEOPLE, AND THERE'S A REAL RISK OF HARMING
22 PEOPLE, BUT OFFERING THEM ACCESS TO THEIR AGGREGATE
23 RESULTS. I THINK THE MAIN PSYCHOLOGICAL BENEFIT
24 ANYONE DERIVES FROM ANY OF THIS IS HOPE, HOPE THAT
25 THEY ARE ADVANCING THINGS FOR THE FUTURE. AND BY

BARRISTERS' REPORTING SERVICE

1 GIVING THEM AGGREGATE RESULTS, YOU'RE HELPING THEM
2 SUPPORT THAT.

3 DR. LOMAX: CAN I ASK A QUESTION THEN
4 BASED ON THE DOCUMENT BEFORE YOU? ANY TIME YOU PUT
5 SOMETHING IN A DOCUMENT, IT SORT OF GETS A LIFE OF
6 ITS OWN. WOULD WE BE BETTER SERVED IN REMOVING THAT
7 SECTION FROM THIS DOCUMENT AND MAKING AN ANNOTATION
8 TO SAY WE RECOGNIZE THERE IS THIS ISSUE ABOUT RETURN
9 OF RESULTS. WE URGE YOU TO CONSIDER IT, BUT WE HAVE
10 NOT INCLUDED IT IN THE BODY OF THIS DOCUMENT BECAUSE
11 WE THINK WE'LL HAVE TO SORT OF DESCRIBE THAT. SEE
12 WHAT I'M SAYING? THE DIFFERENCE BETWEEN -- IT'S
13 ALMOST ONCE IT'S IN THE MODEL, IT ALMOST HAS A SORT
14 OF -- MIGHT BE PERCEIVED AS ADVOCATING THAT OPTION;
15 WHEREAS, WHAT I'M HEARING NOW IS THE HIGH BAR
16 ARGUMENT, THAT WE CAN ACKNOWLEDGE THAT IT COULD BE
17 OUT THERE, BUT WE'RE DEFERRING IT BACK TO THE PEOPLE
18 DEVELOPING THE PROTOCOL, BUT TAKE IT OUT OF THE BODY
19 OF THE DOCUMENT. WOULD THAT BE A BETTER WAY TO GO
20 IN THE MIND OF THE WORKING GROUP?

21 CHAIRMAN LO: GEOFF, BY DOCUMENT, YOU MEAN
22 THE MODEL CONSENT FORM, NOT NICOLE'S CHART?

23 DR. LOMAX: YEAH.

24 DR. ROBERT TAYLOR: I THINK YOU COULD
25 CERTAINLY DO THAT. I THINK IT CAN BE JUSTIFIED.

BARRISTERS' REPORTING SERVICE

1 ONE OF THE MORE COMPELLING THINGS IN THIS REPORT, I
2 THINK, IS THIS IDEA OF WHO HAS THE CONTACT WITH THE
3 PATIENT, WHO HAS THE RELATIONSHIP WITH THE PATIENT.
4 AND I THINK IF THE BIOBANK IS KIND OF PHYSICALLY
5 REMOVED, AND IT SORT OF SOUNDS LIKE THAT'S A BIT OF
6 YOUR STRUCTURAL PLAN, IT'S A BIT -- THIS MAY BE KIND
7 OF A DODGE, BUT I THINK IT GIVES YOU AN OUT TO SAY
8 WE ARE NOT -- WE DON'T HAVE THE DIRECT RELATIONSHIP
9 WITH THE DONORS TO THIS PROGRAM. AND YOU WILL HAVE
10 TO SORT OF ESTABLISH SOME SORT OF A POLICY, BUT I
11 THINK IT GIVES YOU AN EXCUSE REALLY TO NOT PROVIDE
12 THAT PRIMARILY.

13 DR. LOMAX: THE OTHER THING I PICKED UP ON
14 IS I'VE HEARD A SERIES OF COMMENTS NOW WHICH SUGGEST
15 TO ME THAT THERE'S ACTUALLY MORE DOWNSIDE THAN
16 UPSIDE OF EVEN IMPLYING THIS. SO WE REALLY NEED TO
17 DEFER TO SOMEONE WHO'S MUCH MORE FAMILIAR WITH THE
18 EXACT PROTOCOL TO EVEN SORT OF GO THERE. SO WE
19 WOULDN'T LEAD WITH IT. WE WOULD ALLOW SOMEONE TO
20 BRING IT IN ONLY UNDER A VERY LIMITED SET OF
21 CIRCUMSTANCES.

22 DR. PRIETO: I REALLY DON'T THINK IT'S A
23 DODGE. I THINK IT'S THE FACT THAT YOU DON'T HAVE A
24 RELATIONSHIP WITH THE INDIVIDUAL AS THE BIOBANK.
25 AND THE PERSON WHO DOES, GENERALLY THEIR CLINICIAN,

BARRISTERS' REPORTING SERVICE

1 MAY NOT KNOW WHAT TO DO. NO ONE MAY KNOW WHAT TO DO
2 WITH THE KIND OF INFORMATION WE'D RETURN IF WE
3 RETURNED INDIVIDUAL INFORMATION. SO THERE IS THAT
4 VERY SERIOUS DOWNSIDE AND, I THINK, VERY, REMOTE
5 POSSIBILITY OF UPSIDE.

6 DR. ROBERT TAYLOR: I THINK GEOFF HAS
7 COMMENTED THERE IS A FAIR AMOUNT OF PRESSURE OUT
8 THERE. AND WHEN I WAS ON THE GRC HERE IN SAN
9 FRANCISCO, THERE WAS A LOT OF PUSH FROM PATIENT
10 ADVOCACY GROUPS TO SORT OF GET ACCESS TO DATA THAT
11 WERE BEING GENERATED THAT POSSIBLY COULD HAVE
12 BENEFITED THEM. SO I THINK -- I DON'T KNOW WHERE
13 THE PENDULUM IS RIGHT NOW, BUT I THINK YOU JUST HAVE
14 TO BE SORT OF SENSITIVE TO THAT.

15 DR. LOCKHART: I THINK THE OTHER THING TO
16 CONSIDER HERE, AS WE KIND OF TOUCHED ON, IS THAT A
17 LOT OF THE DATA GENERATED MAY NOT BE ABOUT THE
18 PERSON. IT WOULD BE ABOUT THE CELL LINE. BUT I
19 THINK EVEN IF YOU DON'T INTEND TO RETURN, I THINK
20 IT'S STILL WORTH PUTTING SOMETHING INTO CONSENT THAT
21 YOU DON'T INTEND TO RETURN RESEARCH RESULTS BECAUSE
22 I THINK THAT'S IMPORTANT FOR PATIENTS TO UNDERSTAND,
23 PARTICULARLY IF YOU'RE WORKING WITH PATIENTS FROM A
24 DISEASE POPULATION WHO, AS POINTED OUT BY THE
25 PARKINSON'S INSTITUTE, MAY NOT UNDERSTAND THAT THE

BARRISTERS' REPORTING SERVICE

1 RESEARCH ISN'T FOR THEM. SO WHATEVER THE PLAN IS,
2 TRYING TO DESCRIBE THAT.

3 AND THE OTHER THING I WOULD SAY IS IF
4 YOU'RE GOING TO HAVE COLLECTION AT MULTIPLE SITES,
5 TRYING TO HAVE AS HARMONIOUS A POLICY AS POSSIBLE
6 BECAUSE YOU WOULDN'T -- YOU WOULD WANT TO TRY AND
7 AVOID A SITUATION WHERE COLLECTION SITE A IS
8 RETURNING RESEARCH RESULTS AND COLLECTION SITE B IS
9 NOT, AND PARTICIPANTS POSSIBLY WITH THE SAME DISEASE
10 EVEN ARE BEING TREATED DIFFERENTLY.

11 DR. PAT TAYLOR: IT IS A FIELD VERY MUCH
12 IN FLUX. THERE IS A VERY STRONG MOVEMENT TO DECLARE
13 A LEGAL DUTY TO REPORT TO PEOPLE THOSE FINDINGS THAT
14 A CLINICAL GENETICIST WOULD ORDINARILY REPORT,
15 MEANING SOME GENE POLYMORPHISMS THAT ARE ASSOCIATED
16 WITH SOME DEFINITE CONDITION. SO SOME PEOPLE
17 CERTAINLY BELIEVE, IN FACT IT'S THEIR INTENTION,
18 THAT ALL BIOBANKS, EVEN THOUGH THEY LACK THAT
19 RELATIONSHIP, EVEN THOUGH IN A SENSE THEY LACK
20 PARTICULAR GENETIC INTERPRETIVE SKILL, OUGHT TO HAVE
21 A DUTY, DO HAVE A DUTY TO RELEASE INFORMATION THAT
22 IS SO DEFINITIVE AND IS SITTING IN A SENSE IN THEIR
23 LIBRARIES AND OTHERWISE IS UNAVAILABLE. I THINK
24 THEY FORESEE A SITUATION WHERE THE KNOWLEDGE SITS
25 SOMEPLACE INSIDE A BIOBANK AND NOBODY ELSE HAS IT,

BARRISTERS' REPORTING SERVICE

1 BUT IT'S IMPORTANT TO THE DONOR AND NOTHING HAPPENS.

2 THAT'S A VERY DIFFERENT SITUATION, THOUGH,
3 THAN THE GENETIC FINDINGS THAT ARISE FROM NOVEL
4 RESEARCH. WEAK ASSOCIATIONS WHERE THE ENVIRONMENTAL
5 RESULTS ARE VERY UNKNOWN.

6 THE DISCUSSIONS GET VERY CONFUSED,
7 INCLUDING ISSUES OF AMBIGUITY OF INTERPRETATION. SO
8 SOME PEOPLE THINK, FOR EXAMPLE, THAT SAYING, NO,
9 WE'RE NOT GOING TO DO IT IS A VIABLE APPROACH.
10 OTHER PEOPLE THINK IT ACTUALLY VIOLATES A POLICY.
11 THEY MAY BE REQUIRED OF DOING SOMETHING JUST AS
12 ABUSE OF NEGLIGENCE IS SORT OF AN EXCEPTION OFTEN TO
13 CONFIDENTIALITY. SO I THINK IT'S HARD TO TELL WHAT
14 TO DO.

15 PERSONALLY I WOULDN'T ACTUALLY ADDRESS IT
16 IN ANY WAY AT THIS POINT ONE WAY OR ANOTHER, BUT I
17 RECOGNIZE THAT'S BECAUSE I THINK THEY'RE ACTUALLY
18 REALLY SMART. IT MAY NOT HOLD WATER ULTIMATELY. IT
19 MAY BE A DUTY THAT CAN'T ACTUALLY BE FOREGONE.

20 DR. ROBERTS: IT SEEMS LIKE SOMETIMES
21 WE'RE TALKING ABOUT RETURN OF RESEARCH RESULTS, AND
22 OTHER TIMES WE'RE TALKING ABOUT RETURN OF
23 UNANTICIPATED FINDINGS ABOUT A PARTICULAR PERSON'S
24 CELLS.

25 DR. PAT TAYLOR: THEY REALLY ARE QUITE

BARRISTERS' REPORTING SERVICE

1 DIFFERENT. INCIDENTAL FINDINGS ARE YOU'RE DOING THE
2 RESEARCH TODAY ABOUT SOMETHING, AND IN THE COURSE OF
3 IT, YOU DISCOVER THEY HAVE A GENE THAT'S WELL-KNOWN
4 TO DO X, AND EVERYBODY KNOWS THAT. THAT'S THE KIND
5 OF THING THAT IS SORT OF A CENTER OF THE DEBATE
6 AROUND WHETHER OR NOT THERE'S AN ABSOLUTE DUTY IN
7 BIOBANKS. AND THERE'S THE OTHER STUFF, THE NEW
8 RESEARCH STUFF, WHERE ONE COULD IMAGINE SAYING THIS
9 MIGHT MEAN THIS, BUT IT MIGHT MEAN A LOT OF OTHER
10 THINGS, AND TOO MUCH HARM TO RESEARCH PARTICIPANTS.
11 THE TWO GET VERY CONFLATED.

12 DR. ROBERT TAYLOR: I THINK BERNIE'S
13 EXAMPLE IS INTERESTING. IF YOU REALLY DO MAKE A
14 HEART CELL OUT OF A CELL IN A DISH AND IT ENDS UP
15 BEING SUPER SENSITIVE TO DIGOXIN, THEN MAYBE IT'S
16 ETHICALLY APPROPRIATE TO TELL THAT PATIENT THAT SHE
17 MIGHT BE AT RISK OF HAVING A WORSE ARRHYTHMIA.

18 DR. PRIETO: OR MIGHT NOT, WHICH MAY OR
19 MAY NOT BE TREATABLE BY DRUG X. THAT'S WHY I MADE
20 MY COMMENT ABOUT THE BAR. YOU KNOW, IT SHOULD BE
21 SERIOUS, VERIFIABLE, AND KNOWN TO BE --

22 DR. ROBERTS: AND TREATABLE ALSO.
23 ACTIONABLE. IS THAT THE TERM USED? ACTIONABLE.
24 BUT TO ME BERNIE'S HYPOTHESIS SOUNDED LIKE SOMETHING
25 YOU WOULDN'T WANT TO -- YOU WOULD JUST CONFUSE

BARRISTERS' REPORTING SERVICE

1 PEOPLE BECAUSE YOU DON'T EVEN KNOW WHAT YOU FOUND.

2 DR. PRIETO: I'LL TELL YOU EVEN AS A
3 PHYSICIAN, IF SOMEONE GAVE THIS TO ME, I WOULD SAY
4 WHAT DOES THIS MEAN? AND WHO DO I TURN TO? TO MY
5 FRIENDLY CARDIOLOGIST? HE'S NOT GOING TO KNOW
6 EITHER.

7 DR. PAT TAYLOR: EMPIRICAL DATA SHOWS A
8 REAL DIVIDE BASED ON ROLE. SO PEOPLE WHO MANAGE
9 BIOBANKS OR CLINICIANS HAVE THIS PROBLEM DON'T SAY
10 ANYTHING. WE DON'T KNOW WHAT IT MEANS. BUT IF YOU
11 ACTUALLY ASK PATIENTS, THEY WANT A LOT. THEY WANT
12 UNCERTAINTY, AND THEY SAY WE MANAGE THIS ALL THE
13 TIME. DON'T PATRONIZE US. PATIENTS OFTEN TAKE A
14 VERY DIFFERENT VIEW.

15 DR. ROBERT TAYLOR: BRCA GENE MUTATIONS,
16 NOT EVERYBODY WITH THAT MUTATION IS GOING TO GET A
17 BREAST CANCER. I THINK WE'RE CONFUSED ON A NUMBER
18 OF LEVELS HERE ABOUT WHAT WE DO WITH THE
19 INFORMATION.

20 DR. ROBERTS: I THINK THERE'S A DIFFERENCE
21 BETWEEN LETTING PATIENTS KNOW INFORMATION WHERE
22 THERE IS AN ABILITY OF SOMEBODY TO FIGURE OUT WHAT
23 IT MEANS AND TO ASSESS RISKS AND BENEFITS, THAT SORT
24 OF THING. I BELIEVE IN PATIENT AUTONOMY AS ANYBODY
25 ELSE, BUT BERNIE'S HYPOTHETICAL SOUNDED AS IF YOU

BARRISTERS' REPORTING SERVICE

1 COULDN'T -- YOU WOULDN'T EVEN BE ABLE, EVEN THE BEST
2 EXPERTS WOULDN'T BE ABLE TO ADVISE ON WHAT IT MEANS.
3 AND THAT'S DIFFERENT THAN WHAT ARE YOU TELLING THE
4 PATIENT.

5 DR. PAT TAYLOR: I PERSONALLY THINK
6 BERNIE'S HYPOTHETICAL IS BRILLIANT BECAUSE IT'S JUST
7 LIKE THE SITUATIONS I SEE AT CHILDREN'S. PEOPLE WHO
8 ARE LOOKING FOR AN AUTISM GENE AND THEY KNOW IT'S
9 NOT GOING TO BE LOCKED UP, BUT THEY'RE EAGER TO
10 CONTRIBUTE, AS SOMEONE SAID, AND THEY'RE CERTAINLY
11 EAGER TO KNOW IF SOMETHING EXISTS. THEY KNOW IT
12 DOESN'T MEAN SOMETHING DEFINITIVE, BUT THEY KNOW IT
13 MEANS SOMETHING. THAT'S ENOUGH IN THE CONTEXT WITH
14 PEOPLE WHOSE CLINICAL LIVES ARE BUILT AROUND
15 UNCERTAINTY IN THE FATE OF THEIR CHILDREN.

16 DR. ROBERTS: BUT THEN WHAT YOU'RE
17 REPORTING IS GENERAL RESEARCH RESULTS. AGAIN,
18 THAT'S DIFFERENT FROM TELLING A PATIENT.

19 DR. PAT TAYLOR: WE SHOULDN'T LIE WHEN WE
20 GIVE THESE RESULTS.

21 DR. ROBERTS: GIVING THEM SOME SENSE THAT
22 A CURE FOR YOU, OR THIS IS SOME DIAGNOSIS OF YOUR
23 CONDITION, THAT'S WHAT I DON'T THINK --

24 DR. PAT TAYLOR: YOU'RE ABSOLUTELY RIGHT.
25 YOU JUST DEMARCATED THE FIELD IN A WAY MUCH BETTER

BARRISTERS' REPORTING SERVICE

1 THAN SOME OF THESE OTHER DEBATERS. TELL THE TRUTH
2 ABOUT GENETICS.

3 CHAIRMAN LO: SO THE TIME IS DRAWING NIGH
4 TILL FOUR. WE HAD PROMISED THAT WE WOULD GET YOU
5 OUT IN TIME TO MAKE A FLIGHT. SO I THINK, FIRST OF
6 ALL, THANKS TO NICOLE FOR SORT OF GIVING THIS VERY
7 NICE OVERVIEW. THIS IS SOMETHING I SUSPECT WE MAY
8 WELL WANT TO COME BACK TO IF ONLY TO SAY HOW CAN WE
9 UPDATE THE THINKING AS SORT OF MORE THINGS GET
10 WRITTEN.

11 THIS ALSO MAY BE SOMETHING THAT WE WANT TO
12 DO KIND OF A WORKSHOP OR AN EDUCATIONAL OUTREACH FOR
13 THE PEOPLE WE FUND TO HELP THEM THINK THROUGH THESE
14 ISSUES BECAUSE THEY'RE PROBABLY GETTING CONFLICTING
15 ADVICE AND OPINIONS.

16 I WANT TO WRAP UP BY SORT OF, FIRST OF
17 ALL, THANK GEOFF AND STAFF FOR SORT OF REALLY DOING
18 THE BACKGROUND FOR THIS MEETING.

19 (APPLAUSE.)

20 CHAIRMAN LO: I WANT TO THANK ALL OF YOU
21 FOR REALLY INTERESTING, SPIRITED, AND THOUGHTFUL
22 DISCUSSION. I HOPE WHEN WE GET THE TRANSCRIPT,
23 GEOFF, YOU CAN SORT OF SORT THROUGH THIS AND GET
24 SOME USEFUL STUFF. WE MAY WELL COME BACK TO YOU,
25 BUT THERE WILL BE SOME THINGS PROBABLY THAT WE'LL

BARRISTERS' REPORTING SERVICE

1 WANT TO COME BACK AND DISCUSS FURTHER. AND
2 CERTAINLY SOME OF THE THINGS THAT WE MENTIONED WHICH
3 WE'RE GOING TO GO AHEAD IN TERMS OF THESE
4 REGULATIONS AND SORT OF GETTING MORE FEEDBACK, WE'LL
5 DEFINITELY GET BACK TO YOU.

6 BUT THANKS VERY MUCH. AND I WISH
7 EVERYBODY A WONDERFUL TIME HERE IF YOU'RE STAYING.
8 IT LOOKS LIKE NICE WEATHER. IF NOT, SAFE TRAVELS
9 HOME.

10 DR. LOMAX: THANKS, EVERYONE.

11 (THE MEETING WAS THEN CONCLUDED AT
12 03:57 P.M.)

13
14
15
16
17
18
19
20
21
22
23
24
25

BARRISTERS' REPORTING SERVICE

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE SCIENTIFIC AND MEDICAL ACCOUNTABILITY STANDARDS WORKING GROUP OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

SAN FRANCISCO COURTYARD DOWNTOWN
299 SECOND STREET
SAN FRANCISCO, CALIFORNIA

ON

APRIL 6, 2012

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152
BARRISTER'S REPORTING SERVICE
160 SOUTH OLD SPRINGS ROAD
SUITE 270
ANAHEIM, CALIFORNIA
(714) 444-4100