

BEFORE THE  
SCIENTIFIC AND MEDICAL ACCOUNTABILITY  
STANDARDS WORKING GROUP  
OF THE INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE  
TO THE CALIFORNIA INSTITUTE FOR REGENERATIVE  
MEDICINE  
ORGANIZED PURSUANT TO THE  
CALIFORNIA STEM CELL RESEARCH AND CURES ACT  
REGULAR MEETING

LOCATION: LUXE HOTEL  
11461 W. SUNSET BOULEVARD  
LOS ANGELES, CALIFORNIA

DATE: FRIDAY, JULY 25, 2008  
9 A.M.

REPORTER: BETH C. DRAIN, CSR  
CSR. NO. 7152

BRS FILE NO.: 81739

**BARRISTERS' REPORTING SERVICE**

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

I N D E X

ITEM	DESCRIPTION	PAGE NO.
	CALL TO ORDER	3
	ROLL CALL	9
	STAFF REPORT	10
	REGULATORY AND POLICY CONSIDERATIONS	17
	PRESENTATION BY CALIFORNIA DEPARTMENT OF PUBLIC HEALTH REGARDING REPORTING REQUIREMENTS FOR OOCYTE DONATION FOR RESEARCH	179
	ADJOURNMENT	206

**BARRISTERS' REPORTING SERVICE**

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

## BARRISTERS' REPORTING SERVICE

1 LOS ANGELES, CALIFORNIA; FRIDAY, JULY 25, 2008

2 9 A.M.

3  
4 CHAIRMAN LO: OKAY. I'D LIKE TO WELCOME  
5 EVERYBODY AND ASK PEOPLE TO GET SETTLED. IT TURNS  
6 OUT WE WILL NOT HAVE A QUORUM TODAY, SO WE ARE JUST  
7 GOING TO HAVE A DISCUSSION AND BE ADVISORY TO THE  
8 ICOC. BUT IT WILL BE IMPORTANT. THERE ARE A NUMBER  
9 OF ISSUES, IMPORTANT ISSUES TO DISCUSS.

10 I'M GOING TO ASK SHERRY TO START AND SORT  
11 OF FORMALLY GREET US. I FIRST WANT TO THANK YOU FOR  
12 THIS GORGEOUS WEATHER THAT YOU BROUGHT US IN LOS  
13 ANGELES.

14 CO-CHAIR LANSING: I WANT TO TAKE FULL  
15 RESPONSIBILITY FOR THE WEATHER. IT'S TOTALLY WITHIN  
16 MY CONTROL. SO THANK YOU.

17 I WANT TO WELCOME ALL OF YOU AND TELL YOU  
18 AGAIN WHAT AN HONOR IT IS FOR ME PERSONALLY TO SERVE  
19 ON THIS COMMITTEE, AND HOW GRATEFUL I AM TO ALL OF  
20 THE MEMBERS OF THIS COMMITTEE. I THANK YOU VERY,  
21 VERY, VERY MUCH FOR YOUR TIME. THIS IS OUR TWELFTH  
22 MEETING; AND AS I SAID WHEN WE FIRST STARTED THIS,  
23 THIS IS A CONTINUAL PROCESS THAT WILL GO ON IN ALL  
24 OF OUR LIFETIMES, I WOULD SAY, FOREVER UNTIL THE  
25 LAST DISEASE IS CONQUERED, DO YOU KNOW. AND WE ARE

## BARRISTERS' REPORTING SERVICE

1 A CONTINUAL WORK IN PROGRESS. WE WILL CONSTANTLY BE  
2 REEVALUATING WHERE WE ARE IN STEM CELL RESEARCH. I  
3 DON'T NEED TO TELL ALL OF YOU HOW FAST THE FIELD IS  
4 MOVING. AND IN ORDER TO KEEP UP WITH IT, WE  
5 CONSTANTLY HAVE TO LOOK AT THE DECISIONS WE'VE MADE  
6 AND QUESTION THEM IN THE LIGHT OF WHAT HAPPENS  
7 THROUGH SCIENCE.

8 WE ALSO WANT OUR POLICIES TO BE  
9 CONSISTENT. WE WANT OUR POLICIES TO BE CONSISTENT  
10 WITHIN OURSELVES OBVIOUSLY, BUT WE ALSO HAVE TO LOOK  
11 WHAT IS HAPPENING IN OTHER STATES AND WITH THE  
12 NATIONAL ACADEMY OF SCIENCE.

13 IN LIGHT OF THAT, WE DIRECTED OUR STAFF TO  
14 LISTEN TO THE CONSTITUENCIES WITHIN THE STATE TO  
15 HEAR WHAT THEY SAID AND TO REPORT BACK TO US. WE  
16 ALSO ASKED THEM TO LOOK AT WHAT'S HAPPENING IN THE  
17 NATIONAL AND INTERNATIONAL LEVEL IN THE FIELD OF  
18 SCIENCE, AND SOME OF THAT IS IN YOUR INFORMATION  
19 PACKETS.

20 AS YOU KNOW, WE TOOK A VERY CONSERVATIVE  
21 VIEW WHEN WE STARTED, AND WE FELT THAT WAS THE  
22 PRUDENT VIEW. NOW, IN OUR MEETING TODAY, WE WANT TO  
23 LOOK BACK AT SOME OF THOSE DECISIONS AND MAKE SURE  
24 THEY'RE RIGHT, MAKE SURE THEY'RE CORRECT, AND IF  
25 POSSIBLE REEVALUATE OR EVEN CHANGE SOME OF THEM.

## BARRISTERS' REPORTING SERVICE

1 IT'S GOING TO BE A HEALTHY DISCUSSION TODAY.

2 I ALSO WANT TO SAY, IN CLOSING, HOW  
3 GRATEFUL I AM TO ALL OF YOU IN THE PUBLIC. I FEEL  
4 AS IF I KNOW MOST OF YOU NOW, AND YOU'RE PART OF OUR  
5 TEAM. THIS IS A COLLABORATIVE EFFORT FOR ALL OF US  
6 TO DO THE BEST THAT WE CAN DO IN OUR AREA FOR THE  
7 PATIENTS TO LEAD TO THE CLOSEST CLINICAL TRIALS THAT  
8 WE CAN IMAGINE.

9 I ALSO WANT TO GIVE A SPECIAL THANK YOU TO  
10 PAT KING, WHO REGRETTABLY HAD TO RESIGN FROM OUR  
11 COMMITTEE DUE TO TIME AND TRAVEL CONSTRAINTS. WE'RE  
12 GRATEFUL FOR HER HELP, WE'RE GRATEFUL FOR EVERYTHING  
13 THAT SHE'S DONE, AND WE MISS HER.

14 SO, AGAIN, THANK YOU TO ALL OF YOU FOR  
15 COMING TODAY. THANK YOU TO THE PUBLIC WHO,  
16 AS I SAID, IS PART OF EVERYTHING THAT WE DO. THANK  
17 YOU ALL FOR YOUR TIME AND WELCOME. I LOOK FORWARD  
18 TO TODAY. THE TOPICS ARE INTERESTING, AND WE'RE  
19 GOING TO HAVE A LOT OF DETAILED DISCUSSION. THANKS  
20 AGAIN.

21 CHAIRMAN LO: THANKS, SHERRY. I JUST WANT  
22 TO, FIRST OF ALL, ADD MY OWN WELCOME AND THANKS TO  
23 THE COMMITTEE MEMBERS FOR THEIR HARD WORK AND  
24 CONTRIBUTING. I ALSO WANT TO, FIRST OF ALL, NOTE TO  
25 THANK PAT KING. PAT WAS APPOINTED TO THE GOVERNING

## BARRISTERS' REPORTING SERVICE

1 COMMITTEE AT HARVARD, WHICH IS AN ENORMOUS  
2 RESPONSIBILITY. IT'S TAKEN UP AN INCREDIBLE AMOUNT  
3 OF HER TIME. SHE FELT THAT, MUCH AS SHE ENJOYED  
4 THIS WORK AND THOUGHT IT WAS IMPORTANT, THAT  
5 TRAVELING FROM THE EAST COAST WAS JUST TOO MUCH. I  
6 REALLY WANT TO ACKNOWLEDGE HOW MUCH SHE'S HELPED US  
7 AND ALSO HELPED ME PERSONALLY TO THINK THROUGH THESE  
8 DIFFICULT ISSUES.

9 AS SHERRY SO NICELY SAID, I THINK OUR TASK  
10 TODAY IS TO SORT OF TAKE A LOOK AT OUR REGULATION  
11 WITH AN EYE TOWARDS THE EVOLVING SCIENCE, THE  
12 SCIENTIFIC NEEDS, AND WHAT'S GOING ON IN THE POLICY  
13 SPHERE IN OTHER STATES AND OTHER COUNTRIES AND THE  
14 NAS.

15 AS SHERRY ALWAYS REMINDS US, THESE  
16 REGULATIONS ARE MEANT TO BE DYNAMIC AND NOT STATIC,  
17 AND WE NEED TO SORT OF MAKE SURE WE'RE UP TO DATE.  
18 TODAY WE'LL BE TALKING ABOUT SOME ISSUES REGARDING  
19 THE USE OF HUMAN EMBRYONIC STEM CELL LINES AND THE  
20 DERIVATION OF NEW EMBRYONIC STEM CELL LINES AND  
21 WHETHER OUR CURRENT POLICIES, CURRENT REGULATIONS  
22 REALLY CAPTURE THE BEST APPROACH TO TAKE.

23 I WANT TO MAKE VERY CLEAR THAT WE'RE NOT  
24 GOING TO TALK TODAY ABOUT THE ISSUE OF OOCYTE  
25 DONATION SPECIFICALLY FOR RESEARCH OR PAYMENT FOR

## BARRISTERS' REPORTING SERVICE

1 OOCYTES IN THAT CONTEXT. THAT'S A MUCH -- IT'S A  
2 MUCH DIFFERENT ISSUE, I THINK, CONCEPTUALLY AS ONE  
3 WHERE PROP 71 HAS IMPORTANT THINGS TO SAY. SO  
4 ALTHOUGH THERE HAVE BEEN SOME REPORTS IN THE PRESS  
5 THAT WE WERE GOING TO DISCUSS THAT, WE SORT OF SAID  
6 THAT'S NOT OUR DISCUSSION. WE'RE TALKING ABOUT  
7 OOCYTES THAT HAVE EITHER BEEN DONATED FOR THE  
8 DERIVATION OF NEW STEM CELL LINES WHICH ARE BEING  
9 OFFERED TO STEM CELL RESEARCH.

10 NOW, WE HAVE A COUPLE OF OTHER THINGS  
11 WE'RE GOING TO DO. FIRST STAFF, GEOFF LOMAX, AGAIN,  
12 HE'S DONE A WONDERFUL JOB SORT OF PREPPING US FOR  
13 THIS, IS GOING TO GIVE US SOME BRIEF UPDATES JUST TO  
14 KEEP THOSE OF US ON THE SWG UP TO DATE ON THE  
15 SCIENTIFIC AND POLICY ISSUE. THE MAIN SUBSTANTIVE  
16 DISCUSSION WE'RE GOING TO DO TODAY IS THE  
17 UTILIZATION OF STEM CELL LINES AND EMBRYOS TO DERIVE  
18 EMBRYONIC STEM CELL LINES.

19 AND FINALLY, IF WE HAVE TIME, WE'RE GOING  
20 TO HEAR ABOUT THE CALIFORNIA DEPARTMENT OF PUBLIC  
21 HEALTH EFFORTS TO DEVELOP A SYSTEM TO TRACK OOCYTE  
22 DONATION. BUT LET ME JUST TURN IT OVER NOW TO GEOFF  
23 TO GIVE US THE, I GUESS, A FORMAL ROLL CALL AND  
24 STAFF REPORT.

25 DR. LOMAX: THANK YOU VERY MUCH, BERNIE



## BARRISTERS' REPORTING SERVICE

1 AND MEMBERS OF THE WORKING GROUP. QUICKLY, I'D LIKE  
2 TO DO A ROLL CALL.

3 ROBERT TAYLOR.

4 DR. TAYLOR: HERE.

5 DR. LOMAX: ANN KIESSLING.

6 DR. KIESSLING: HERE.

7 DR. LOMAX: FRANCISCO PRIETO.

8 DR. PRIETO: HERE.

9 DR. LOMAX: ALTA CHARO.

10 MS. CHARO: HERE.

11 DR. LOMAX: BERNIE LO.

12 CHAIRMAN LO: HERE.

13 DR. LOMAX: SHERRY LANSING.

14 CO-CHAIR LANSING: HERE.

15 DR. LOMAX: ROBERT KLEIN.

16 MR. KLEIN: HERE.

17 DR. LOMAX: MARCY FEIT.

18 MS. FEIT: HERE.

19 DR. LOMAX: JEFF SHEEHY.

20 MR. SHEEHY: HERE.

21 DR. LOMAX: JOSE CIBELLI.

22 DR. CIBELLI: HERE.

23 DR. LOMAX: THOSE ARE ALL THE MEMBERS  
24 PRESENT TODAY, AND, AGAIN, WE'RE NOT UNDER A QUORUM  
25 AND MAY WELL NOT BE FOR THE DAY.

## BARRISTERS' REPORTING SERVICE

1           WHAT I'D LIKE TO DO IS BRIEFLY UPDATE YOU  
2 ALL ON A NUMBER OF ITEMS THAT ARE ONGOING IN NATURE,  
3 AND THEY START WITH BRINGING YOU UP TO DATE ON THE  
4 MOST RECENT REGULATORY REVISIONS TO THE STANDARDS.  
5 A YEAR AGO YOU RECOMMENDED REVISIONS TO THREE  
6 SECTIONS OF THE REGULATIONS, AND I'VE BULLETED OUT  
7 THE SUBSTANTIAL REVISIONS. ONE, TO INCLUDE THE  
8 JAPANESE SUBSTEM CELL LINES DERIVED UNDER THE  
9 JAPANESE GUIDELINES AS ACCEPTABLY DERIVED. WE  
10 CLARIFIED LANGUAGE AROUND PAYMENTS FOR RESEARCH  
11 MATERIALS, AND WE SET A STANDARD TO ALLOW THE USE OF  
12 CERTAIN CELLS FOR IPS EXPERIMENTS. THESE ARE THE  
13 SO-CALLED ARCHIVED CELL LINES THAT WE WERE NOT ABLE  
14 TO GO BACK AND BRING UP -- GET THE LEVELS OF CONSENT  
15 THAT WOULD BE EXPECTED FOR MATERIALS PIPELINE TODAY.

16           SO THOSE REGULATIONS HAVE BECOME EFFECTIVE  
17 AS OF THE END OF JUNE. YOU HAVE A REVISED SET OF  
18 MATERIALS IN YOUR PACKET THAT REFLECT THE MOST  
19 RECENT CHANGES, AND THOSE MATERIALS ARE ALSO AT THE  
20 BACK OF THE ROOM IF MEMBERS OF THE PUBLIC WOULD LIKE  
21 TO TAKE A LOOK AT THEM.

22           THIS IS JUST A REFRESHER ON THE TIMELINE  
23 TO REMIND FOLKS OF THE PROCESS. ANY TIME WE GO  
24 THROUGH A REVISION OF THE REGULATIONS, YOU ALL MAKE  
25 RECOMMENDATION, THAT RECOMMENDATION WILL GO TO THE

## BARRISTERS' REPORTING SERVICE

1 ICOC. WE TYPICALLY HAVE A NUMBER OF ROUNDS OF  
2 PUBLIC COMMENT, AT LEAST ONE. IN THIS CASE WE HAD  
3 TWO CYCLES OF PUBLIC COMMENT. THE ICOC PROVIDED  
4 FINAL APPROVAL EARLY THIS YEAR, AND THE AOL REVIEW  
5 CONCLUDED, AGAIN, AT THE END OF MAY WITH A JUNE  
6 EFFECTIVE DATE.

7 I WANTED TO UPDATE YOU ON ANOTHER  
8 PROGRAMMATIC PIECE THAT WE'VE DEVELOPED AND ACTUALLY  
9 IMPLEMENTED IT AS OF THIS YEAR. WE INITIATED A  
10 PROGRAM OF SITE VISITS TO GRANTEE INSTITUTIONS TO  
11 REVIEW THEIR COMPLIANCE WITH THE REGULATIONS AND  
12 POLICIES THAT YOU ALL HAVE RECOMMENDED. THE SITE  
13 VISITS INCLUDE A REVIEW OF THE OVERALL PROCEDURES  
14 AND POLICIES, WHICH THE INSTITUTIONAL STEM CELL  
15 RESEARCH OVERSIGHT COMMITTEES OPERATE UNDER.

16 WE THEN LOOK VERY SPECIFICALLY AND WE LOOK  
17 AT APPLICATIONS AND PERFORM EFFECTIVELY AN AUDIT OF  
18 THOSE APPLICATIONS TO ENSURE THAT THE REQUIRED  
19 REVIEWS AND APPROVAL ARE IN ACCORDANCE WITH THE  
20 REGULATORY REQUIREMENTS. AND WE ALSO TALK TO THE  
21 PI'S ABOUT VARIOUS REPORTING REQUIREMENTS EXPECTED  
22 OF THEM, REPORTING REQUIREMENTS SUCH AS THE NEED TO  
23 REPORT PUBLICATIONS OR INTELLECTUAL PROPERTY  
24 DEVELOPMENTS.

25 WE ALSO TAKE A LOOK AT FINANCIAL RECORDS

## BARRISTERS' REPORTING SERVICE

1 TO ENSURE THAT SPECIFIC APPLICATIONS, THAT THE MONEY  
2 IS GOING WHERE IT'S SUPPOSED TO GO. A COLLEAGUE OF  
3 MINE, CYNTHIA SCHAFFER, LEADS THAT EFFORT, AND SHE'S  
4 DONE A TERRIFIC JOB THERE. IN ADDITION TO  
5 COMPLIANCE-RELATED ACTIVITIES, WE BUILD IN DURING  
6 THE SITE VISIT A SET OF INFORMATIONAL INTERVIEWS  
7 WITH THE STAFF AND THE PRINCIPAL INVESTIGATORS TO  
8 INFORM THE POLICY DELIBERATIONS YOU ALL ARE ENGAGED  
9 IN.

10 AND SOME OF THE COMMENTS YOU'VE GOT, FOR  
11 EXAMPLE, A LETTER FROM STANFORD UNIVERSITY IS REALLY  
12 A REFLECTION OF THAT INTERACTION TO SIT DOWN AND  
13 DISCUSS WITH THEM SOME OF THE VERY SPECIFIC ISSUES  
14 THAT YOU ALL ARE SEEKING INPUT ON. SO I THINK WE  
15 SORT OF ACCOMPLISHED TWO THINGS HERE. WE REALLY  
16 ENSURE A HIGH LEVEL OF COMPLIANCE AND REALLY SET  
17 EXPECTATIONS WITH REGARD TO THE INSTITUTIONS, AND WE  
18 GET QUALITY FEEDBACK FOR THE PURPOSES OF THESE  
19 MEETINGS.

20 JUST SOME VERY BRIEF COMMENTS BASED ON  
21 SOME INITIAL VISITS. WE DO BELIEVE THAT THE SITE  
22 VISITS WILL SUPPORT EFFECTIVE PROGRAM OVERSIGHT.  
23 IT'S VERY GOOD FOR THE INSTITUTIONS TO HEAR FROM US  
24 WHAT WE'RE EXPECTING THEM IN REGARDS TO THEIR  
25 REVIEWS AND APPROVALS. WE'VE ALSO BECOME AWARE THAT

## BARRISTERS' REPORTING SERVICE

1 WE THINK THERE ARE STEPS WE CAN TAKE INTERNALLY TO  
2 SET MORE STANDARDIZED DOCUMENTATION PROCEDURES FOR  
3 THEIR REVIEWS AND APPROVALS. SO WE'RE GOING TO BE  
4 WORKING ON THINGS LIKE A MODEL APPROVAL LETTER FOR  
5 STEM CELL RESEARCH OVERSIGHT COMMITTEE. AGAIN, THIS  
6 IS REALLY TO DEVELOP AS MUCH CONSISTENCY AS POSSIBLE  
7 IN THE APPROVAL PROCESS AND MORE SPECIFICALLY WITH  
8 DOCUMENTATION OF THAT PROCESS.

9 IN TERMS OF THE INTERVIEWS, AGAIN, THIS IS  
10 A COMMON THEME. I THINK WE SORT OF MENTIONED THIS  
11 AT THE LAST FOUR MEETINGS, BUT VERIFICATION OF  
12 ACCEPTABLY DERIVED CELL LINES CONTINUES TO REQUIRE A  
13 SUBSTANTIAL RESOURCE COMMITMENT ON THE PART OF  
14 INSTITUTIONS. AND THEY SORT OF CONTINUE TO SORT OF  
15 COMMENT THAT ANYTHING WE CAN DO TO DEVELOP A LIST OF  
16 SOME KIND OF MECHANISM THAT IDENTIFIES CELL LINES  
17 THAT ARE APPROPRIATE FOR USE IN RESEARCH, AND THAT  
18 LIST, PEOPLE COULD GO TO THAT LIST AS OPPOSED TO  
19 EVALUATING CELL LINES ON AN ONGOING BASIS. THAT  
20 WOULD BE A TREMENDOUS HELP.

21 AND FURTHER, CLEARLY, I THINK THIS IS A  
22 REFLECTION OF THE FACT THAT A LOT OF THE COMMITTEES  
23 AND A LOT OF PEOPLE OUT HERE REALLY LOOKING TO WHERE  
24 WE WANT TO GO WITH OUR RESEARCH. THEY REALLY  
25 SUGGESTED THE APPLICATION OF CELL THERAPIES, THAT

## BARRISTERS' REPORTING SERVICE

1 THE CLINICAL APPLICATION AND THE CLINICAL TRIALS IS  
2 A NEW TERRITORY THAT WILL REQUIRE GUIDANCE AND A LOT  
3 OF THOUGHT. SO PERHAPS THAT'S SOMETHING TO LOOK  
4 FORWARD TO IN THE FUTURE, BUT MORE EVEN IN THE NEAR  
5 FUTURE BECAUSE ALREADY PEOPLE ARE REALLY STARTING TO  
6 THINK SERIOUSLY ABOUT WHAT ABILITY THEY HAVE IN  
7 REGARDS TO CLINICAL TRIALS.

8 GIVEN THAT WE RECOGNIZE THIS PROBLEM OF  
9 CELL LINES AND WHAT CELL LINES ARE CONSIDERED  
10 ACCEPTABLY DERIVED AND THIS PROBLEM OF DOCUMENTATION  
11 OF CELL LINES, IN ADDITION TO SORT OF LISTING WHAT  
12 FOLKS HAVE TO SAY, WE HAVE BEGUN TO REACH OUT TO  
13 NATIONAL AND INTERNATIONAL PARTNERS TO CONSIDER HOW  
14 WE CAN SORT OF IMPROVE REGISTRY DATA TO SUPPORT THE  
15 DETERMINATION OF CELL LINES THAT ARE ACCEPTABLY  
16 DERIVED.

17 AND THERE ARE A NUMBER OF INITIATIVES OUT  
18 THERE, THE INTERNATIONAL SOCIETY FOR STEM CELL  
19 RESEARCH, THE EUROPEAN REGISTRY, THE UNIVERSITY OF  
20 MASSACHUSETTS HAS BEGUN A REGISTRY EFFORT. THERE'S  
21 WORK AT THE UNIVERSITY OF WISCONSIN, THE UK STEM  
22 CELL BANK. THERE ARE A WHOLE SERIES OF EFFORTS OUT  
23 THERE TO SORT OF DO THIS WORK, SO I THINK OUR  
24 INITIAL THINKING WAS WE DON'T NEED ANOTHER EFFORT.  
25 WHAT WE NEED TO DO IS TO GET IN WITH SOME OF THE

## BARRISTERS' REPORTING SERVICE

1 INTERESTING EFFORTS AND SEE IF WE CAN'T FORGE SOME  
2 CONSENSUS AND SOME PRACTICES FOR DEVELOPING  
3 CONSISTENCY FOR CELL LINE DOCUMENTATION.

4 AND WE HAD A WHAT I WOULD SAY IS A VERY  
5 SUCCESSFUL MEETING THAT THE UNIVERSITY OF  
6 MASSACHUSETTS HOSTED WHERE WE ACTUALLY DEVELOPED A  
7 CONSENSUS STATEMENT WITH ALL THE PARTNERS PRESENT  
8 AND SOME OTHER INDIVIDUALS REALLY STATING SORT OF  
9 THE COMMITMENT, THAT WE NEED TO SORT OF MOVE FORWARD  
10 WITH AN EFFORT THAT IS SORT OF OPTIMAL AND THAT  
11 PEOPLE ARE THINKING TOGETHER. SO IT'S SORT OF THE  
12 FIRST STEPS, I HOPE, TOWARDS A BROADER APPROACH TO  
13 IMPROVING DATA, STANDARDIZATION AS WE'RE MOVING  
14 FORWARD IN A MORE RELAXED WAY. BUT IT'S A  
15 PRELIMINARY DEVELOPMENT THAT WE'VE BEEN -- I WOULD  
16 SAY IT WAS A VERY PROMISING SET OF MEETINGS, AND  
17 WE'LL KEEP YOU POSTED ON DEVELOPMENTS THERE.

18 I DID WANT TO JUST COME BACK TO THIS TABLE  
19 THAT WAS IN YOUR BRIEFING MATERIAL BECAUSE THIS WAS  
20 REALLY A FAIRLY SUBSTANTIAL PIECE OF FOLLOW-UP BASED  
21 ON THE REQUEST YOU ALL MADE IN THE LAST MEETING,  
22 WHICH WAS TO SURVEY THE LAY OF THE LAND WITH REGARD  
23 TO POLICIES RELATED TO THE USE OF CERTAIN TYPES OF  
24 CELL LINES OR THE USE OF EMBRYOS FOR THE DERIVATION  
25 OF CELL LINES. AND I WANT TO JUST TAKE A MOMENT TO

## BARRISTERS' REPORTING SERVICE

1 EXPLAIN WHAT'S BEHIND THIS TABLE.

2 FOR EVERY STATE LISTED THERE, WE HAVE BEEN  
3 IN DIRECT CONTACT WITH TYPICALLY THE ATTORNEY  
4 GENERAL'S OFFICE OR SOME LEGAL ENTITY WITHIN THE  
5 STATE THAT OVERSEAS THE REGULATIONS OR IS SORT OF  
6 THE FINAL PARTY TO INTERPRET THE REGULATIONS AT THE  
7 STATE LEVEL. AND WE DID THIS WORK THROUGH THE  
8 INTERSTATE ALLIANCE FOR STEM CELL RESEARCH, WHICH IS  
9 A COMMITTEE, WHICH IS A GROUP THAT I CO-CHAIR.

10 AND SO THESE FINDINGS IN TERMS OF WHAT'S  
11 REPRESENTED IN THIS CHART REALLY REPRESENTS THE  
12 COLLECTIVE WORK OF ALL THE STATES LISTED HERE AND  
13 THE NATIONAL ACADEMIES AND CANADA. SO JUST IF YOU  
14 HAVE ANY QUESTIONS ABOUT THE CONTENT, I'M HAPPY TO  
15 EXPAND ON IT. I HOPE THE TABLE WILL MAKE SENSE TO  
16 YOU ALL, BUT I DID WANT TO EMPHASIZE THE DILIGENCE  
17 THAT KIND OF WENT INTO THIS EFFORT BECAUSE IT WAS  
18 SUBSTANTIAL. AND WE HAVE, AGAIN, ADDITIONAL  
19 DOCUMENTATION BACK BEHIND ON THOSE DATA. ANYONE  
20 MORE INTERESTED IN THAT.

21 AND I THINK THAT'S ALL I HAVE. TURN IT  
22 OVER TO YOU.

23 CHAIRMAN LO: ANY QUESTIONS FOR GEOFF  
24 ABOUT THE UPDATE? OKAY. QUESTIONS FROM THE  
25 AUDIENCE.



## BARRISTERS' REPORTING SERVICE

1 WITH THAT, I'M GOING TO AGAIN TURN IT BACK  
2 TO GEOFF TO SORT OF HELP US GET STARTED ON THE  
3 ISSUES WE'RE GOING TO DISCUSS TODAY IN TERMS OF  
4 USING CELL LINES, FIRST USING HUMAN EMBRYONIC STEM  
5 CELL LINES DERIVED PRIOR TO THE EFFECTIVE DATE OF  
6 THE CIRM REGULATIONS, WHICH IS NOVEMBER 2006.

7 GEOFF'S PREPARED SOME SLIDES THAT I THINK  
8 WILL CLARIFY FOR US THE -- THERE ARE A LOT OF  
9 DIFFERENT ISSUES HERE. AND I THINK IT WOULD BE  
10 CONCEPTUALLY BETTER IF WE TRY TO SORT OF SEPARATE  
11 THEM OUT AND DEAL WITH THEM ONE AT A TIME AS OPPOSED  
12 TO ALTOGETHER. GEOFF HAS TRIED TO HELP US  
13 ANALYTICALLY THINK THROUGH THE DIFFERENT RELATED  
14 ISSUES.

15 DR. LOMAX: OKAY. AND JUST SO WE'RE ON  
16 ITEM 4 NOW. FOR THE BENEFIT OF THE MEMBERS OF THE  
17 AUDIENCE, WE HAVE A BRIEFING PAPER ON THIS ITEM,  
18 BRIEFING NARRATIVE AS WELL IF FOLKS WOULD LIKE TO  
19 FOLLOW ALONG.

20 THE ISSUE THAT WAS BROUGHT TO OUR  
21 ATTENTION IS THAT THERE'S --

22 CHAIRMAN LO: I'M SORRY. BEFORE YOU  
23 START, ON THE LAST PAGE OF YOUR BRIEFING, THE  
24 SLIDES --

25 DR. LOMAX: I DON'T KNOW IF WE HAVE --

## BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: I'M SORRY. I MISSPOKE.  
2 THEY'RE NOT IN HERE YET.

3 DR. LOMAX: THE TROUBLE WITH OF SLIDES,  
4 THEY TEND TO GET DEVELOPED IN THE LAST 24 HOURS  
5 AFTER WE COPIED EVERYTHING ELSE FOR THE PACKET. I  
6 APOLOGIZE, BUT WE WILL MAKE THE SLIDES AVAILABLE. I  
7 HOPE WHAT'S ON THE SCREEN IS SUFFICIENT.

8 SO WOULD YOU LIKE ME TO WALK THROUGH THIS  
9 SLIDE, BERNIE?

10 CHAIRMAN LO: YEAH.

11 DR. LOMAX: OKAY. SO WHAT WE THOUGHT  
12 WOULD BE HELPFUL WAS TO TALK ABOUT, I GUESS,  
13 CONCEPTUALLY THE MATERIALS THAT I THINK ARE THE  
14 FOCUS OF TODAY'S DISCUSSION, WHICH A TYPICAL EXAMPLE  
15 WOULD BE YOU HAVE A SITUATION WHERE YOU'RE FORMING  
16 AN EMBRYO FOR -- WE'RE CALLING THEM IVF EMBRYOS, SO  
17 IT'S CREATED FOR INFERTILITY TREATMENT. IN SOME  
18 CASES YOU MAY HAVE ONE OR BOTH GAMETE DONORS ARE  
19 PAID IN THE CREATION OF THAT EMBRYO. AND THAT  
20 EMBRYO IS INTENDED TO GO TO AN IVF PATIENT FOR THE  
21 PURPOSES OF HAVING A CHILD.

22 AS WE'RE AWARE, THIS PROCESS HAS RESULTED  
23 IN A NUMBER OF STORED EMBRYOS THAT ARE NO LONGER  
24 REQUIRED FOR THE PATIENT FOR IVF PURPOSES. AND  
25 TYPICALLY THE PATIENT, THEN, HAS ONE OF THREE

## BARRISTERS' REPORTING SERVICE

1 OPTIONS FOR FINAL DISPOSITION OF THAT EMBRYO. IT  
2 COULD BE DONATED TO ANOTHER PATIENT, COULD BE  
3 DISCARDED, OR DONATED TO RESEARCH.

4 NOW, WHEN WE ORIGINALLY CRAFTED THE  
5 REGULATIONS, THIS ISSUE OF PAYMENT, WE DREW A SORT  
6 OF, I GUESS YOU WOULD SAY, A BRIGHT LINE IS ONE WAY  
7 OF CHARACTERIZING IT, AND SAID ANY CELL LINE THAT  
8 RESULTS FROM PAID GAMETE -- IF YOU'VE GOT A CELL  
9 LINE THAT'S CREATED FROM ONE OF THESE EMBRYOS WHERE  
10 THE PAID GAMETES IS CONTAINED IN THE EMBRYO, THEN WE  
11 WOULDN'T CONSIDER IT ACCEPTABLY DERIVED BY VIRTUE OF  
12 THE PAYMENT THAT WENT INTO THE INITIAL EMBRYO.

13 AND SO THE QUESTION -- THIS IS ONE  
14 EXAMPLE. AND SO THE QUESTION REALLY BEFORE YOU  
15 TODAY, ONE OF THE QUESTIONS BEFORE YOU TODAY, WILL  
16 BE DO WE WANT TO REEVALUATE THE CASE WHERE YOU'VE  
17 GOT A PAID EMBRYO AND IF THE CELL LINES ARE  
18 THEN -- YOU'VE GOT AN EMBRYO THAT'S CREATED FOR IVF,  
19 DO WE WANT TO REEVALUATE THAT POSITION AND ALLOW  
20 CELL LINES DERIVED FROM THESE EMBRYOS TO BE MADE  
21 AVAILABLE FOR RESEARCH. SO THAT'S ONE OF THE  
22 ISSUES.

23 NOW, BERNIE, THE PROBLEM WITH THIS SLIDE  
24 IS, WHICH WE STILL HAVEN'T ADDRESSED THE FIRST  
25 QUESTION, WHICH IS THE GRANDFATHERING OF LINES, SO

## BARRISTERS' REPORTING SERVICE

1 I'M DEFERRING TO YOU NOW. WHERE DO YOU WANT TO GO  
2 FROM HERE IN THE DISCUSSION?

3 CHAIRMAN LO: WHAT WE'RE TRYING TO DO HERE  
4 IS -- THE OTHER SITUATION WE WANT TO DISCUSS TODAY  
5 IS WHERE IN THE IVF CONTEXT THE GAMETE DONORS  
6 DONATED THEIR GAMETES FOR IVF AND GAVE CONSENT TO  
7 THAT, BUT THE RESEARCH DONATION WAS NOT MENTIONED TO  
8 DO THE GAMETE DONORS, AND NOW THEY MAY BE NO LONGER  
9 CONTACTED. THEY MAY BE ANONYMOUS OR THEY MAY HAVE  
10 INDICATED THEY DON'T WANT TO BE CONTACTED AGAIN. SO  
11 CONCEPTUALLY YOU HAVE EMBRYOS THAT WERE CREATED FROM  
12 GAMETES SPECIFICALLY FOR IVF CLINIC WORK THAT ENDED  
13 UP BEING FROZEN, NOT NEEDED BY THE WOMAN OR COUPLE  
14 IN IVF, AND NOW TYPICALLY YEARS AFTER THEY'VE  
15 COMPLETED THEIR INFERTILITY TREATMENT HAVE DECIDED  
16 THEY NO LONGER WANT TO KEEP THESE FROZEN EMBRYOS IN  
17 INDEFINITE STORAGE.

18 THEIR OPTIONS ARE TO EITHER DISCARD THEM,  
19 TO GIVE THEM TO ANOTHER WOMAN AND COUPLE FOR  
20 REPRODUCTIVE PURPOSES, OR A NUMBER OF THEM ARE  
21 SAYING ARE I'D LIKE TO DONATE THEM FOR RESEARCH IN  
22 SOME SPECIFIC STEM CELL RESEARCH. SO THE QUESTION  
23 IS IN THAT CONTEXT WHERE THE EMBRYOS WERE CREATED IN  
24 AN IVF CONTEXT WITH ALL THE APPROPRIATE CONSENT FOR  
25 IVF AND PERHAPS HUMAN GAMETE DONORS TYPICALLY AT A

## BARRISTERS' REPORTING SERVICE

1 TIME WHEN STEM CELL RESEARCH WAS NOT SOMETHING THAT  
2 PEOPLE WERE THINKING ABOUT. NOW DO WE ALLOW THOSE  
3 EMBRYOS TO BE USED FOR STEM CELL LINES DERIVED FROM  
4 IVF, EVEN THOUGH THEY DON'T MEET ALL THE  
5 REQUIREMENTS WE SUBSEQUENTLY SET UP IN NOVEMBER 2006  
6 FOR CIRM-FUNDED DERIVATION OF NEW HES LINES.

7 SO I DON'T KNOW IF I'VE CONFUSED YOU.  
8 SHERRY, HELP US OUT.

9 CO-CHAIR LANSING: I DON'T NEED TO HELP  
10 YOU OUT SO MUCH AS I WANT TO CLARIFY MY  
11 UNDERSTANDING. AND I THINK I CAN PUT IT IN -- IT  
12 SEEMS TO ME THERE'S TWO GROUPS. MAYBE I'M WRONG.  
13 OKAY. SO LET ME JUST -- I KNOW IN THE FERTILITY --  
14 A WOMAN GOES IN FOR FERTILITY TREATMENT, BUT IT'S  
15 ACTUALLY PERSONALIZED. AND SO, A, YOU CAN USE IT TO  
16 HAVE A CHILD; B, YOU'VE NOW DECIDED THAT YOU HAVE  
17 EXTRA ONES, AND SO YOU CAN USE THOSE TO HELP ANOTHER  
18 PATIENT WHO WANTS TO HAVE A CHILD. YOU CAN DISCARD  
19 THEM AND SAY I DON'T WANT ANYTHING ELSE DONE WITH  
20 THEM. I JUST WANT TO BE SURE I'M CORRECT. OR YOU  
21 CAN CHECK A BOX WHEN THAT BOX WAS AVAILABLE FOR  
22 RESEARCH, BUT NOT EVERYBODY HAD THAT BOX AVAILABLE;  
23 IS THAT CORRECT?

24 CHAIRMAN LO: WELL, BUT NOW AT THE TIME  
25 YOU SAID I'VE MADE UP MY MIND, I DON'T WANT TO KEEP

## BARRISTERS' REPORTING SERVICE

1 THEM IN STORAGE, THE IVF BANK WHERE YOU HAVE THEM  
2 FROZEN WILL SAY, BUT NOW YOU HAVE TO TELL US WHAT  
3 YOU WANT DONE. TODAY THEY'LL OFFER YOU THE CHOICE  
4 KEEP THEM FROZEN, GIVE THEM TO ANOTHER COUPLE FOR  
5 REPRODUCTIVE PURPOSES --

6 CO-CHAIR LANSING: OR RESEARCH.

7 CHAIRMAN LO: -- DISCARD OR --

8 CO-CHAIR LANSING: OKAY. AND THEY DON'T  
9 SPECIFY -- I JUST WANT TO BE CLEAR -- WHAT THAT  
10 RESEARCH IS BECAUSE YOU CAN'T. I MEAN THAT WOULD  
11 BE -- RIGHT?

12 CHAIRMAN LO: RIGHT. ALTHOUGH SOME --  
13 AGAIN, IT DEPENDS ON, YOU KNOW, PEOPLE LIKE ROB THAT  
14 INVOLVED WITH IVF, ANN, I MEAN SOMETIMES THEY SAY  
15 JUST RESEARCH. OTHER TIMES NOW I THINK THEY SAY FOR  
16 STEM CELL RESEARCH IN PARTICULAR. SO THE COUPLE OR  
17 THE WOMAN IN IVF GENERALLY SAYS I WANT THESE TO GO  
18 SPECIFICALLY FOR STEM CELL RESEARCH. QUESTIONS THE  
19 GAMETE DONORS FROM YEARS AGO MAY NOT HAVE SAID THAT,  
20 AND NOW WE MAY NOT BE ABLE TO CONTACT THEM.

21 CO-CHAIR LANSING: OKAY. ISN'T IT -- AND  
22 THOSE GAMETE DONORS FROM BEFORE, I'M TALKING TEN  
23 YEARS AGO, DID THEY ACTUALLY HAVE A BOX THAT THEY  
24 COULD CHECK AT THAT TIME AND THEY GAVE THEM A  
25 RESEARCH OPTION? THE ANSWER IS NOT ALWAYS, RIGHT?

## BARRISTERS' REPORTING SERVICE

1 DR. TAYLOR: SOMETIMES. I MEAN WE HAVE  
2 RESEARCH BOXES. WE DIDN'T REALLY KNOW -- WE DIDN'T  
3 SPECIFY, FRANKLY, AND SOME PEOPLE MADE DIFFERENT  
4 DECISIONS ABOUT RESEARCH IN GENERAL VERSUS STEM CELL  
5 RESEARCH. BUT THOSE OPTIONS WERE THERE --

6 CO-CHAIR LANSING: THOSE OPTIONS WERE  
7 ALWAYS THERE TO CHECK THE RESEARCH BOX?

8 CHAIRMAN LO: BUT NOT IN ALL IVF CENTERS.  
9 I THINK ROB IS BEING MODEST. HE WAS SORT OF SETTING  
10 THE STAGE. AND, YOU KNOW, I WOULD SAY THE FARTHER  
11 BACK YOU GO IN TIME, THE MORE IVF CLINICS DIDN'T  
12 HAVE THAT OPTION FOR --

13 DR. KIESSLING: THE BIG DISTINCTION IS  
14 EGGS AND SPERM. THE EGG DONOR MORE FREQUENTLY HAVE  
15 THAT BOX TO CHECK THAN THE SPERM DONOR BECAUSE THE  
16 SPERM LINE HAS BEEN PURCHASED FROM A SPERM BANK, AND  
17 THOSE GUYS WERE ONLY ASKED ABOUT THEIR -- YOU KNOW,  
18 MOST SPERM DONORS HAVE NEVER CHECKED A RESEARCH BOX.

19 CO-CHAIR LANSING: SO DON'T WE THEN HAVE  
20 TWO POOLS? WE HAVE THE BOX OF PEOPLE THAT CHECKED  
21 IT FOR RESEARCH AND HOW WE DEAL WITH THAT, AND THE  
22 BOX THAT PEOPLE -- OF WHICH THERE NEVER WAS THAT  
23 OPTION. OKAY. SO NOW IF YOU TAKE THERE NEVER WAS  
24 THAT OPTION, I MEAN OBVIOUSLY IN ALL CASES YOU'RE  
25 GOING TO TRY AND FIND THE PEOPLE, BUT THAT'S NOT

## BARRISTERS' REPORTING SERVICE

1 GOING TO BE EASY. SO NOW YOU TAKE THE PEOPLE THAT  
2 CHECKED RESEARCH; AND THEN IF YOU'RE LUCKY IN A MORE  
3 SOPHISTICATED TIME, THERE WILL BE A RECOMMENDATION,  
4 I WOULD ASSUME FROM OUR GROUP, TO SAY YOU CHECK FOR  
5 RESEARCH OR YOU CHECK FOR STEM CELL RESEARCH OR  
6 YOU'RE NOT OBJECTING TO STEM CELL RESEARCH, WHATEVER  
7 WAY YOU WANT TO PUT IT.

8 BUT IF IT'S JUST IN GENERAL RESEARCH,  
9 THAT'S THE BIG POOL THAT WE HAVE TO DEAL WITH. AND,  
10 YOU KNOW, I KNOW WHERE I'M COMING OUT ON THIS  
11 BECAUSE RESEARCH IS RESEARCH, BUT I DON'T WANT TO  
12 SAY --

13 CHAIRMAN LO: SHERRY, THIS HAS BEEN A  
14 PROBLEM. LET ME JUST SORT OF CLARIFY -- TRY AND  
15 CLARIFY IT AGAIN. SO YOU'RE ASKING WHEREVER  
16 POSSIBLE WE SHOULD GO BACK AND SAY DO WE KNOW THAT  
17 THE -- WE ALWAYS KNOW THAT THE WOMAN AND COUPLE IN  
18 IVF TREATMENT HAVE CONSENTED TO DONATE FOR RESEARCH  
19 AND TYPICALLY FOR STEM CELL RESEARCH. WHEREVER  
20 POSSIBLE, WE GO BACK AND SAY DO WE KNOW THAT THE  
21 GAMETE DONORS ALSO APPROVED OF DONATING THIS TO STEM  
22 CELL RESEARCH? IF THEY DID, THEN THERE'S OBVIOUSLY  
23 NO ETHICAL PROBLEM.

24 CO-CHAIR LANSING: EXACTLY.

25 CHAIRMAN LO: IF THEY SOMEHOW SAID, NO, WE



**BARRISTERS' REPORTING SERVICE**

1 ABSOLUTELY DON'T WANT IT --

2 CO-CHAIR LANSING: THEN THERE'S NO  
3 QUESTION EITHER.

4 DR. TROUNSON: BERNIE --

5 CHAIRMAN LO: LET ME JUST MAKE SURE I'VE  
6 GOT IT STRAIGHT. THE OTHER TWO CASES ARE WHERE THEY  
7 WERE NEVER ASKED AND WE DON'T KNOW, AND THEN THE  
8 OTHER CASE IS THEY CHECK RESEARCH IN GENERAL --

9 MS. LANSING: RESEARCH.

10 CHAIRMAN LO: -- BUT NO ONE THOUGHT OF  
11 STEM CELL RESEARCH.

12 CO-CHAIR LANSING: BUT RESEARCH IN  
13 GENERAL, I JUST WANT TO SAY THIS AS A PATIENT  
14 ADVOCATE, THAT'S HUGE. I MEAN IT'S A HUGE THING.  
15 AND THEN I DON'T THINK WE SHOULD BE PUNISHED ANY  
16 MORE THAN SOMEBODY ELSE SHOULD BE PUNISHED. SO I  
17 GUESS WHAT I'M TRYING TO SAY IS I CHECKED RESEARCH  
18 IN GENERAL, AND FOR SOME REASON I DON'T BELIEVE IN  
19 BREAST CANCER RESEARCH. I CAN'T IMAGINE ANYBODY  
20 SAYING THAT. NOBODY IS ASKING ME ABOUT SPECIFIC  
21 RESEARCH. AND SO WHY ARE WE -- I'M ACTUALLY NOW --  
22 I WAS THE MOST CONSERVATIVE ON THIS ISSUE. I'M  
23 ACTUALLY SAYING WHY ARE WE HELD TO A HIGHER STANDARD  
24 THAN ANY OTHER KIND OF RESEARCH? I DON'T THINK WE  
25 SHOULD BE BECAUSE THEN YOU OPEN IT UP TO THE

## BARRISTERS' REPORTING SERVICE

1 PATIENT -- YOU NEVER GET ANYONE TO CHECK THE  
2 RESEARCH BOX BECAUSE I MEAN IF THEY DON'T BELIEVE IN  
3 BREAST CANCER RESEARCH, MAYBE I DON'T BELIEVE IN,  
4 YOU KNOW, MS RESEARCH. I DON'T KNOW.

5 CHAIRMAN LO: I THINK NOW WE'RE GOING TO  
6 GET SOME DISCUSSION. ALAN WANTED TO SAY SOMETHING  
7 AND BOB.

8 DR. TROUNSON: I THINK WHERE SHERRY IS  
9 TALKING ABOUT THERE'S SOME REASONABLE CLARITY. BUT  
10 THERE WAS A VIEW TEN YEARS AGO OR MORE THAT IF YOU  
11 DON'T HAND AN EGG TO ANOTHER COUPLE FOR FOSTERING A  
12 CHILD, SO THAT YOU DIDN'T -- THEREFORE, YOU KNOW,  
13 YOU WEREN'T REQUIRED TO MAKE THE DECISIONS AND NOW  
14 THE DISPOSITION OF EMBRYOS BECAUSE WHILE YOU'RE  
15 PROVIDING THE EGG OR THE SPERM, YOUR DECISION ABOUT  
16 WHETHER THAT SHOULD BE DISPOSED OF, THAT MEANT THAT  
17 SOMEBODY ELSE WAS TRANSFERRED TO THE RECIPIENT  
18 COUPLE. AND THAT WAS AT THE TIME A VIEW NOT  
19 UNIVERSALLY HELD, BUT IT WAS A COMMON VIEW, THAT YOU  
20 DIDN'T HAVE ANY BOXES TO PICK BESIDES DONATING TO  
21 THE OTHER COUPLE, RECIPIENT COUPLE. AND THE  
22 RECIPIENT COUPLE, AS YOU WOULD HAVE AS IF YOU HAVE  
23 AN ADOPTED CHILD, TO MAKE THE DECISIONS ON BEHALF OF  
24 THAT EMBRYO OR THOSE EMBRYOS FROM THEN ON.

25 AND YOU WOULDN'T GO BACK TO THE DONOR WHO

## BARRISTERS' REPORTING SERVICE

1 IN MANY INSTANCES WAS ANONYMOUS ANYWAY, WHO HAD PUT  
2 A BARRIER ABOUT GOING BACK TO THEM. SO THERE WAS A  
3 TIME WHERE ALL OF THESE THINGS WERE NOT SO CLEAR AS  
4 THEY ARE TODAY. AND WHEN, OF COURSE, WE GOT OUR  
5 GUIDELINES ESTABLISHED AT CIRM, IT'S BEEN VERY  
6 CLEAR. SO I THINK THAT'S A BIT OF A SITUATION, BUT  
7 WE'RE TALKING ABOUT TIME THAT WAS BEFORE NOW.

8 CHAIRMAN LO: AND LET ME AGAIN SORT OF ADD  
9 ON WHAT ALAN SAID, WHICH IS VERY IMPORTANT, THAT, IN  
10 FACT, IT WAS STANDARD PRACTICE IN A NUMBER OF IVF  
11 PRACTICES TO HAVE THE GAMETE DONORS SIGN BASICALLY A  
12 RELEASE FORM, SAYING I'M GOING TO GIVE THESE AWAY TO  
13 THE WOMAN OR COUPLE IN IVF, AND THEY CAN DO WHATEVER  
14 THEY WANT. THEY HAVE TOTAL AUTHORITY TO CONTROL  
15 THEM. SO IN A SENSE THEY SIGNED EVERYTHING OVER TO  
16 THE EMBRYO DONOR, BUT NOT ANYTHING SPECIFIC WITH  
17 THAT.

18 SO A NUMBER OF COMMENTS, WHICH IS GREAT,  
19 SO WE'RE GOING TO GO TO BOB AND THEN ALTA AND THEN  
20 FRANCISCO. AND ANYONE ELSE PUT YOUR HAND UP.

21 MR. KLEIN: SO JUST FOR THE FRAMEWORK OF  
22 THIS DISCUSSION, TIME STARTS WITH DR. TROUNSON'S  
23 DISCUSSION. THERE IS NO TIME IN THE DISCUSSION OF  
24 IVF PRIOR TO TROUNSON. SO TROUNSON IS OUR ULTIMATE  
25 HISTORIAN IN THIS REGARD SINCE IT'S HIS DISCOVERY

## BARRISTERS' REPORTING SERVICE

1 THAT RUNS IVF ON A GLOBAL SCALE. HE HAS THIS  
2 REMARKABLE WHOLE LOOK.

3 BUT MAYBE, DR. TROUNSON, YOU COULD CLARIFY  
4 FOR ME. I WAS UNDER THE IMPRESSION THAT EVEN IN  
5 VERY RECENT TIME, THAT SPERM DONORS, BECAUSE OF  
6 THEIR DESIRE TO BE TREATED ANONYMOUSLY AND, IN FACT,  
7 OFTEN NOT TO BE COMMUNICATED WITH, THAT EVEN IN --  
8 THIS IS A CURRENT PROBLEM AS WELL AS A HISTORICAL  
9 PROBLEM WITH THE SPERM DONORS IS THAT WE HAVE DONORS  
10 WHO MAY BE PAID DONORS WHO JUST DON'T WANT TO BE  
11 COMMUNICATED WITH OR WE DON'T KNOW WHO THEY ARE.

12 IS THAT STILL A CURRENT PROBLEM? WHAT IS  
13 THE PRACTICE?

14 DR. TROUNSON: I THINK THAT'S NOT VERY  
15 COMMON ANYMORE. I THINK THE COMMUNITY HAS MOVED ON  
16 IN MATURITY IN THAT RESPECT, AND I THINK THERE IS --  
17 THE COMMUNITY THINKS THERE IS AN APPROPRIATE WAY TO  
18 BANK IF EVERYTHING AGREES THAT YOU COULD GO BACK TO  
19 THE ORIGINAL DONOR BECAUSE THERE ARE SOME SITUATIONS  
20 WHERE THERE ARE OFFSPRING. THAT'S NOT UNIVERSAL,  
21 BOB, SO THERE'S A GREAT VARIETY, I THINK. AND SOME  
22 OF THE CONDITIONS THAT YOU DESCRIBE STILL EXIST.  
23 THEY DON'T EXIST ANY LONGER IN AUSTRALIA. YOU HAVE  
24 TO BE IDENTIFIABLE IN THE LONG TERM, BUT I  
25 UNDERSTAND IN THE U.S. IT'S VERY VARIED.

## BARRISTERS' REPORTING SERVICE

1 MS. CHARO: IF I'M FOLLOWING THIS  
2 CORRECTLY, WE'VE ACTUALLY CHANGED THE DISCUSSION NOW  
3 FROM MUTUAL PAYMENT TO MUTUAL CONSENT, RIGHT? AND  
4 SO I'M GOING TO PUT ASIDE THE ISSUE OF PAYMENT FOR A  
5 MOMENT AND JUST WANT TO MENTION SOMETHING ABOUT HOW  
6 THE NATIONAL ACADEMIES CAME TO THE POSITION THEY  
7 CAME TO BECAUSE I KNOW THAT THAT WAS PART OF THE  
8 REGULATIONS HERE CAME TO BE WHERE THEY ARE.

9 THE NOTION HAD BEEN THAT, WHILE IT'S TRUE  
10 THAT MOST PEOPLE UNDERSTAND THE DONATION OF A  
11 GAMETE, EGG OR SPERM, ESSENTIALLY THE LEGAL ISSUE  
12 FOR CONTROL OVER IT, WE ALSO FOUND OURSELVES  
13 THINKING THAT MOST PEOPLE ENTER THAT ARRANGEMENT  
14 UNDER A CERTAIN SET OF ASSUMPTIONS. AND THOSE  
15 ASSUMPTIONS ARE BUILT AROUND THE NOTION THAT THIS IS  
16 FOR REPRODUCTIVE PURPOSES. AND THEN, OF COURSE, IT  
17 MAY FAIL AND THE EMBRYO MAY WIND UP DESTROYED OR NOT  
18 USED FOR A NUMBER OF REASONS, BUT THAT IT IS LIKELY,  
19 AT LEAST HISTORICALLY, THAT MOST PEOPLE DO NOT  
20 IMAGINE A TRANSFER OF THE EMBRYO TO RESEARCH AS A  
21 LIKELY OUTCOME.

22 AND SO BEING EXTREMELY CAUTIOUS, WE CHOSE  
23 TO PUT IN THE REQUIREMENT THAT THERE BE CONSENT FROM  
24 ALL UNDERLYING GAMETE DONORS SOLELY SO THAT THERE  
25 WAS A SENSE THAT THE ORIGINAL DECISION TO DONATE FOR

## BARRISTERS' REPORTING SERVICE

1 REPRODUCTIVE PURPOSES WAS DONE WITH COMPLETE -- WITH  
2 AN UNDERSTANDING OF THE COMPLETE RANGE OF OUTCOMES,  
3 BUT NOT NECESSARILY WITH A LIST OF PARTICULAR  
4 RESEARCH PROTOCOLS THAT MIGHT BE USED IN THE  
5 RESEARCH.

6 THAT SAID, I SHOULD AT LEAST PUT ON THE  
7 TABLE FOR YOU ALL THAT THAT HAS PROBABLY BEEN ONE OF  
8 THE TWO OR THREE MOST CHALLENGED GUIDELINES, RIGHT  
9 UP THERE WITH NONPAYMENT AND THE CREATION OF ESCRO'S  
10 TO BEGIN WITH AS OPPOSED TO USING IRB'S. AND JUST  
11 TO PROVE THAT CONFLICT OF INTEREST RULES ARE  
12 SOMETIMES OVERDONE WITH THE ASSUMPTION THAT  
13 AFFILIATIONS DETERMINE EVERYTHING, MY OWN  
14 INSTITUTION, AS I UNDERSTAND IT, IS PLANNING TO  
15 IGNORE THAT PARTICULAR GUIDELINE THAT I AND ANOTHER  
16 MEMBER OF MY INSTITUTION HELPED TO WRITE ON THE  
17 NATIONAL ACADEMY'S COMMITTEE.

18 SO I JUST WANT TO PUT THAT ON THE TABLE SO  
19 THAT PEOPLE APPRECIATE WHY THAT'S PUT IN, AND THAT  
20 IT'S NOT ABOUT THE SPECIFICS OF THE RESEARCH SO MUCH  
21 AS PEOPLE ENTERING THESE ARRANGEMENTS TO BE GAMETE  
22 DONORS WITH SOME APPRECIATION OF WHAT THEY'RE  
23 ACTUALLY GRANTING.

24 CHAIRMAN LO: FRANCISCO AND THEN ANN.

25 DR. PRIETO: I THINK THAT, YOU KNOW,

## BARRISTERS' REPORTING SERVICE

1 THERE'S QUESTIONS OBVIOUSLY LEGAL AND ETHICAL. AND  
2 THE LEGAL ONE SEEMS FAIRLY CLEAR-CUT, THAT YOU'VE  
3 RELINQUISHED CONTROL OVER THESE GAMETES PRESUMABLY  
4 WITH SOME SORT OF BLANKET RELEASE. AND, YOU KNOW,  
5 THERE IS NO LEGAL ISSUE OF REACHING BACK AND GETTING  
6 THEIR CONSENT. ETHICALLY I THINK WHAT WE HAVE TO  
7 DECIDE IS HOW ESSENTIAL IS IT TO GET THAT SORT OF  
8 APPROVAL.

9 I THINK IF THERE WAS A RESEARCH BOX  
10 CHECKED, THEN TO ME THAT OPENS UP THE WINDOW OF  
11 ACCEPTABILITY THAT, YOU KNOW, PEOPLE UNDERSTAND THAT  
12 VARIOUS THINGS ARE DONE IN RESEARCH. I DON'T THINK  
13 WE HAVE TO OR CAN GO BACK AND EXPLAIN TO PEOPLE.  
14 WE'D HAVE TO, YOU KNOW, TRAIN AT THE LEVEL OF A  
15 PH.D. TO UNDERSTAND ALL THE POTENTIAL RAMIFICATIONS  
16 OF THE RESEARCH. I DON'T THINK THAT WOULD EVER BE  
17 SOMETHING THAT WE'D LIKE. I THINK THE PEOPLE THAT  
18 CHECK THAT BOX, THEN THAT'S ACCEPTABLE.

19 CHAIRMAN LO: ANN AND THEN SHERRY.

20 DR. KIESSLING: I ACTUALLY WANT TO ASK  
21 ALTA A QUESTION WITH YOUR LAWYER HAT ON. I MEAN  
22 SETTING ASIDE THE ETHICAL ISSUES OF WHETHER SOME  
23 COLLEGE GUY IS DONATING SPERM FOR THE SPERM BANK,  
24 AND THE CALIFORNIA CRYO BANK IS THE ONE OF THE  
25 BIGGEST SPERM BANKS IN THE COUNTRY, WHOSE DONATIONS

## BARRISTERS' REPORTING SERVICE

1 ARE STILL ANONYMOUS. THERE'S TWO CHAPTERS IN  
2 BOSTON, I BELIEVE.

3 WHETHER OR NOT THAT PERSON ENVISIONS THAT  
4 AT SOME POINT IN TIME HIS GENETIC INFORMATION WOULD  
5 BE USED TO TREAT SPINAL CORD INJURY. I THINK THAT'S  
6 A DISCUSSION WE NEED TO HAVE AS A SEPARATE ISSUE.

7 BUT ONE OF THE LEGAL CONCERNS HERE, AND  
8 THIS IS NOW A LEGAL CONCERN, NOT AN ETHICAL CONCERN,  
9 HOW DOES THE RETROSPECTIVE WORK ON THAT? NOW, IF  
10 YOU ARE NOW -- AND THERE MUST BE EXAMPLES OF THIS IN  
11 TERMS OF A STANDARD FOR DOING HUMAN SUBJECTS  
12 RESEARCH THAT'S EMBRACED NOW AND LOOKING BACK AT  
13 WHEN DISCUSSING THING AT A PRIOR TIME.

14 SO IN OTHER WORDS, IF THIS COMES AS A  
15 COURT CHALLENGE, WHAT DO COURTS SAY ABOUT IF THE  
16 STANDARDS UNDER WHICH THIS MATERIAL WAS COLLECTED DO  
17 NOT AGREE WITH TODAY'S STANDARDS?

18 CHAIRMAN LO: THIS ACTUALLY IS GEOFF'S  
19 NEXT SLIDE. SO CAN WE HOLD AND THEN ALTA JUMP IN  
20 AFTER THIS BECAUSE GEOFF IS GOING TO TRY AND WALK US  
21 THROUGH THAT WITH ALTA'S HELP. SHERRY.

22 CO-CHAIR LANSING: WELL, I ACTUALLY WANT  
23 TO HEAR THE SLIDES, SO I'LL MAKE MY COMMENTS BRIEF.  
24 I ACTUALLY UNDERSTAND WHAT YOU ARE SAYING, ALTA, AND  
25 I AGREE WITH IT. BUT IF YOU -- IF WE ACCEPT THE



## BARRISTERS' REPORTING SERVICE

1 FACT THAT WE ARE A WORK IN PROGRESS, WE STARTED HERE  
2 ON THE SLATE OF CONSERVATIVISM AND WE HAVE THERE AND  
3 IT'S POSSIBLE. AND IT SEEMS TO ME A STEP TO TAKE  
4 WOULD BE TO SAY THAT ANYONE THAT CHECKED THE  
5 RESEARCH BOX, YOU KNOW, IS ACCEPTABLE BECAUSE WE'RE  
6 NOT GOING TO -- WE'RE NOT GOING TO SPECIFY WHAT KIND  
7 OF RESEARCH. I MEAN THAT WOULD BE IMPOSSIBLE TO DO.  
8 AND IF YOU CHECKED THAT BOX, THEN WHY SHOULD WE NOT  
9 BE ABLE TO USE THAT SINCE EVERYONE ELSE CAN? I MEAN  
10 WE'RE THEN ACTUALLY HOLDING OURSELVES TO A STANDARD  
11 NOW. THAT TO ME IS A STEP.

12 NOW, WHETHER OR NOT -- THEN THE NEXT STEP  
13 IS A MUCH BOLDER STEP AND A STEP THAT I WOULD SAY  
14 LET'S REVISIT IF WE NEED TO, AND I'M NOT  
15 RECOMMENDING IT TODAY, IS THEY NEVER CHECK THE  
16 RESEARCH BOX. AND THEN I THINK, YOU KNOW, YES, I  
17 WOULD SAY ALWAYS TRY AND FIND THE DONOR, ALWAYS TRY  
18 AND ASK THE QUESTIONS, AND I THINK AND GET  
19 PERMISSION, THEN LET'S NOT DO THAT TODAY.

20 CHAIRMAN LO: WELL, THE OTHER OPTION WOULD  
21 BE IF THERE WAS NO BOX FOR THEM TO CHECK WHEN THEY  
22 DONATED.

23 CO-CHAIR LANSING: THEN I THINK, AS MUCH  
24 AS, YOU KNOW, WISH WE COULD USE THEM ALL FOR  
25 RESEARCH, THEN I THINK THAT'S -- IF YOU CAN'T FIND

**BARRISTERS' REPORTING SERVICE**

1 THEM, THEN I SAY THAT'S MAYBE TOO BIG A STEP TODAY.

2 CHAIRMAN LO: IF SOMEONE -- ROB.

3 DR. TAYLOR: YEAH. I'D ACTUALLY LIKE TO  
4 KIND OF STEP BACK TO THE QUESTION OF THE BOXES AND  
5 THE TIMELINE. SO TEN YEARS AGO IN A UNIVERSITY  
6 PROGRAM WHERE WE WERE DOING RESEARCH ON LOTS OF  
7 TISSUES THAT WERE MAINLY DERIVED FROM SURGICAL  
8 PROCEDURES OR BIOPSIES DONE IN THE OFFICE OR BLOOD  
9 SAMPLES THAT WERE BEING DRAWN ROUTINELY AS PART OF  
10 NORMAL CARE, WE HAD LOTS OF RESEARCH BOXES ON LOTS  
11 OF THINGS. SO I THINK IT WOULD BE FAIR TO SAY THAT  
12 IF WE GO BACK TEN YEARS AND WE LOOK AT THE POOL OF  
13 FROZEN EMBRYOS THAT ARE KIND OF SITTING AROUND, I  
14 WOULD HAVE TO ARGUE THAT THE BIGGEST COHORT IN A  
15 POOL PROBABLY HAVE NO INFORMATION ABOUT RESEARCH  
16 PROTOCOLS.

17 THERE'S A SMALLER SUBSET IN WHICH THERE  
18 WAS A BOX LIKE IN THE UC SAN FRANCISCO PROGRAM, LOTS  
19 OF OTHER UNIVERSITY PROGRAMS, I'M SURE, THAT HAD A  
20 RESEARCH BOX THAT WASN'T PARTICULARLY SPECIFIED, BUT  
21 IT WOULD ALLOW OTHERWISE DISCARDED MATERIAL TO BE  
22 USED FOR RESEARCH. AND THAT'S NOT GOING TO BE THE  
23 ENTIRE POPULATION OF THOSE FROZEN EMBRYOS, BUT IT'S  
24 GOING TO REPRESENT A SET.

25 AND IT'S GOING TO BE A MUCH, MUCH SMALLER

## BARRISTERS' REPORTING SERVICE

1 SET WHERE WE CAN TRACE IT BACK TO THE GAMETE DONORS,  
2 AND INFINITESIMALLY SMALLER GROUP, I WOULD ARGUE,  
3 BASED ON PARTICULARLY THE SPERM DONOR ISSUES, IN  
4 THIS COUNTRY AT LEAST, WHICH ARE ALMOST COMPLETELY  
5 ANONYMOUS AND UNTRACEABLE.

6 CO-CHAIR LANSING: BUT TEN YEARS AGO THERE  
7 WAS THIS BOX FOR RESEARCH, WHICH IS A START.

8 DR. TAYLOR: RIGHT.

9 CHAIRMAN LO: LET ME SORT OF PUT THIS IN A  
10 MUCH BIGGER CONTEXT, WHICH, AS ROB SUGGESTED, ALL  
11 THE TIME IN THE COURSE OF CLINICAL CARE, WE  
12 PHYSICIANS OBTAIN TISSUE AND IT'S STORED IN A  
13 REPOSITORY, BIOPSY SAMPLES FROM CANCER SURGERY,  
14 EXTRA TUBES OF BLOOD THAT MAY BE USED FOR  
15 (UNINTELLIGIBLE). AT A CERTAIN POINT WE ALLOW THOSE  
16 SPECIMENS TO BE USED FOR RESEARCH, BUT NOW IT'S  
17 SPECIFIC IN THE SENSE OF RESEARCH PROVIDING WE STRIP  
18 ALL IDENTIFIERS FROM THEM. AND THAT'S COMMONLY  
19 ACCEPTED. IN FACT, A LOT OF THE CANCER RESEARCH IS  
20 DONE WITH OLD PATHOLOGY SPECIMENS WHERE WE TAKE ALL  
21 THE PATIENT'S IDENTIFYING INFORMATION AND JUST TURN  
22 IT OVER TO THE RESEARCHER. AND FEDERAL REGULATIONS  
23 FOR HUMAN SUBJECTS RESEARCH EXPLICITLY ALLOWED THAT.  
24 SO ANONYMOUS TISSUE COLLECTED FOR CLINICAL PURPOSES  
25 LEFT OVER, NOT NEEDED FOR RESEARCH FOR CLINICAL WORK

## BARRISTERS' REPORTING SERVICE

1 MAY BE GIVEN TO RESEARCHERS WITHOUT EXPLICIT  
2 CONSENT --

3 CO-CHAIR LANSING: ANONYMOUSLY.

4 CHAIRMAN LO: -- ANONYMOUSLY. NOW, WHAT,  
5 AGAIN, I THINK SHERRY IS VERY RIGHT TO SAY, WE  
6 WANTED TO BE VERY CAUTIOUS ON THIS BECAUSE OF THE  
7 SENSE IT'S VIEWED AS EMBRYO RESEARCH WHERE WE KNOW  
8 THERE ARE PEOPLE IN THE POPULATION CAN SAY, WAIT A  
9 MINUTE, TAKING AWAY MY NAME DOESN'T MAKE IT ANY  
10 RIGHT.

11 CO-CHAIR LANSING: WHAT DO YOU SIGN WHEN  
12 YOU'RE AN ANONYMOUS TISSUE? MAYBE YOU SIGN AWAY  
13 YOUR RIGHTS. I DON'T KNOW.

14 CHAIRMAN LO: WELL, YOU SIGN AWAY YOUR  
15 RIGHTS WITH A GENERAL CONSENT TO COME TO THE  
16 HOSPITAL. NO ONE EVER READ IT BECAUSE IT'S JUST A  
17 PIECE OF PAPER. SO BASICALLY IT WAS, I THINK, AND  
18 ALTA CAN HELP ME OUT, BUT I THINK THE OTHER  
19 BACKGROUND IS THAT THIS SORT OF SPECIFIC CONSENT FOR  
20 RESEARCH FROM THESE REPRODUCTIVE TISSUES IS IN A  
21 SENSE AN EXCEPTION TO THE GENERAL RULE THAT  
22 ANONYMIZED TISSUE THAT'S ALREADY COLLECTED FOR  
23 CLINICAL PURPOSES USED FOR RESEARCH BECAUSE  
24 REPRODUCTIVE TISSUE IS A LITTLE MORE SENSITIVE AND  
25 BECAUSE EMBRYONIC STEM CELL RESEARCH MAY BE

## BARRISTERS' REPORTING SERVICE

1 ADDITIONALLY SENSITIVE.

2 AND I THINK THE NOTION WAS YOU WOULDN'T  
3 WANT SOMEONE TO COME BACK AND SAY, WELL, I REALLY  
4 WASN'T ASKED, BUT, YOU KNOW, HAD YOU ASKED, I WOULD  
5 HAVE THOUGHT NOW YOU'RE GOING TO DO RESEARCH ON  
6 INFERTILITY, WHICH I WOULD HAVE SUPPORTED, BUT -- SO  
7 THAT WAS -- ROB AND I CO-AUTHORED A PAPER IN 2004  
8 WHICH ACTUALLY RECOMMENDED THAT WE GET SPECIFIC  
9 CONSENT TO USE THOSE EMBRYOS FROM BOTH AN EMBRYO  
10 DONOR AND THE GAMETE DONOR, BUT THAT WAS IN 2004.  
11 ALTA, HELP ME OUT.

12 MS. CHARO: YOU HAVE NO IDEA HOW MUCH I  
13 RESPECT YOUR OPINION ABOUT THIS, BUT I THINK THERE'S  
14 A LEGAL CONFUSION AT THE BASE OF IT WHICH MAKES THE  
15 ISSUE OF ANONYMITY NOT QUITE THE RIGHT ISSUE TO BE  
16 DISCUSSING HERE BECAUSE THERE ARE TWO THINGS THAT GO  
17 ON THAT DETERMINE HOW TO TREAT HUMAN TISSUE. ONE IS  
18 A PROPERTY ANALYSIS, MY DISPOSITIONAL AUTHORITY OVER  
19 THE TISSUE, AND THE SECOND IS HUMAN SUBJECTS  
20 PROTECTIONS.

21 NOW, IN THE CONTEXT OF ORDINARY SURGICAL  
22 WASTE, THE USUAL PROPERTY ANALYSIS IS THAT THAT  
23 TISSUE, WHATEVER MY PRIMARY INTEREST IN IT MIGHT BE,  
24 AND IT'S UNCLEAR IN MOST STATE LAW, WHATEVER THAT  
25 PROPERTY INTEREST IS, THE TISSUE HAS BEEN ABANDONED,

## BARRISTERS' REPORTING SERVICE

1 SO I NO LONGER HAVE A PROPERTY INTEREST THAT IS THE  
2 CONTROL, SO THE ONLY THING THAT'S LEFT ON THE TABLE  
3 IS PROTECTION OF MY INTEREST, WHICH IS WHERE  
4 ANONYMITY IS CONSIDERED SUFFICIENT, HUMAN SUBJECTS  
5 RULES NO LONGER APPLY.

6           HERE I THINK IT'S REALLY NOT THE SAME  
7 THING BECAUSE THE CONCERN ABOUT THE UNDERLYING  
8 GAMETE DONORS IS NOT SO MUCH WHETHER THEY ARE THE  
9 SUBJECT OF STUDY, WHICH WOULD TRIGGER HUMAN SUBJECTS  
10 RIGHTS, AND FOR WHICH ANONYMITY WOULD PROVIDE THE  
11 SOLUTION. I THINK THE QUESTION IS WHETHER OR NOT AT  
12 THE MOMENT THEY WERE ASKED TO DONATE GAMETES TO A  
13 COUPLE, THEY WOULD HAVE SAID, OH, I'D RATHER NOT HAD  
14 THEY KNOWN THAT RESEARCH WAS A POSSIBLE OUTCOME.  
15 IT'S WHETHER OR NOT THERE'S A MATERIAL  
16 MISUNDERSTANDING AS BETWEEN THE GAMETE DONORS AND  
17 THE RECIPIENTS ON THE POSSIBLE OUTCOMES THAT WOULD  
18 HAVE CHANGED THE TRANSACTION.

19           NOW, PROPERTY LAW ABOUT HUMAN TISSUE IN  
20 THE BODY AND OUTSIDE THE BODY, GAMETES, NONGAMETES,  
21 IS A MESS IN THE UNITED STATES. THERE ARE NO CLEAR  
22 NATIONAL RULES, AND EVEN WITHIN THE INDIVIDUAL  
23 STATES, THERE'S NO CLEAR ANALYSIS. BUT THE ONE  
24 THING I AM PRETTY CONFIDENT ABOUT IS THAT TO THE  
25 EXTENT THAT THERE'S ANY NOTION OF CONTROL, THAT IT

## BARRISTERS' REPORTING SERVICE

1 DOES REST UPON ACTUAL UNDERSTANDING OF THE MEANING  
2 OF THE TRANSACTION.

3 SO I DO THINK THAT, DESPITE THE ABSENCE OF  
4 HUMAN SUBJECTS RIGHTS IN THE ANONYMOUS CONTEXT, I  
5 DON'T THINK WE CAN COMPLETELY GET AROUND THE  
6 QUESTION OF WHETHER WE THINK, A, IT WOULD BE VERY  
7 LIKELY THAT PEOPLE WOULD DETERMINE THEIR WILLINGNESS  
8 TO DONATE GAMETES TO COUPLES BASED UPON WHETHER  
9 RESEARCH WAS ONE OF THE SEVERAL OUTCOMES THAT THEY  
10 WERE AWARE OF AND, SECOND, WHETHER, EVEN IF THEY  
11 KNEW THE OUTCOMES, THEY SOMEHOW HAD TO HAVE SOME  
12 INCREDIBLY ELABORATE LISTING OF ALL THE POSSIBLE  
13 OUTCOMES. I DON'T THINK WE CAN GET AWAY FROM EITHER  
14 OF THOSE QUESTIONS WITH HUMAN SUBJECTS RIGHTS.

15 CHAIRMAN LO: I WAS GOING TO SAY THAT  
16 THERE'S A BIGGER CONTEXT.

17 CO-CHAIR LANSING: I THINK -- I HAVE A  
18 QUESTION FOR YOU, ALAN. SINCE WE WANT TO DO WHAT IS  
19 ETHICAL, BUT WE ALSO WANT TO ADVANCE SCIENCE IN AN  
20 ETHICAL WAY, HOW BIG IS THIS POOL THAT WE'RE TALKING  
21 ABOUT OF PEOPLE THAT CHECKED THE RESEARCH BOX? YOU  
22 HAVE ANY IDEA? ARE WE ARGUING ABOUT SOMETHING WE  
23 DON'T NEED TO ARGUE ABOUT TODAY? I FEEL THAT  
24 PEOPLE, I COULD BE WRONG, AND MAYBE WE NEED TO DO A  
25 STRAW POLL, BUT I FEEL THAT IF YOU CHECKED THE

## BARRISTERS' REPORTING SERVICE

1 RESEARCH BOX, AND I'M ULTIMATELY WRONG, BUT I THINK  
2 MOST PEOPLE ARE SAYING YOU DON'T NEED -- WE'RE NOT  
3 GOING TO GO THROUGH A LIST OF WHAT THE RESEARCH IS.  
4 AND IF YOU CHECK THE RESEARCH BOX, THEN STEM CELL  
5 RESEARCH IS PART OF RESEARCH TOO.

6 BUT I'M ASKING YOU, FROM YOUR POINT OF  
7 VIEW AS A SCIENTIST, IS THAT A BIG ENOUGH POOL FOR  
8 US TO ADVANCE OUR WORK AT LEAST TODAY?

9 DR. TROUNSON: I THINK IT IS, SHERRY,  
10 WITHOUT TRYING TO REVISIT THE DECISION THAT THE  
11 COMMITTEE MADE SOME TIME AGO. ALL THE ORIGINAL  
12 LINES FROM ISRAEL THAT CONSTITUTED THE ORIGINAL  
13 EMBRYONIC STEM CELL LINES ALL FALL IN THIS CATEGORY.  
14 SO THEY WERE GRANDFATHERED IN ON THE BASIS THAT, YOU  
15 KNOW, IT WASN'T AVAILABLE. THAT CHOICE HADN'T  
16 BEEN -- WASN'T AVAILABLE AT THAT TIME AND NOT MADE  
17 AVAILABLE TO THOSE PATIENTS.

18 SO, NO. 1, IT IS, AS YOU GO BACK -- AS YOU  
19 GO FURTHER BACK ALL THE TIME, IT'S MORE AND MORE  
20 COMMON. AND SO THIS COULD BE A RATHER LARGE POOL OF  
21 MATERIAL THAT'S AVAILABLE.

22 TWO OTHER THINGS IS THAT SCIENTISTS HAVE  
23 BEEN USING THESE EMBRYOS TO FORM EMBRYONIC STEM  
24 CELLS. AND WE HAVE A SITUATION, FOR EXAMPLE, THAT'S  
25 WHY WE ARE PRESSING FOR A DISCUSSION ON THEM, THAT A



## BARRISTERS' REPORTING SERVICE

1 CELL LINE WAS -- A CELL LINE HAS BEEN MADE IN AN  
2 APPROPRIATE GMP WAY TO BE CLINICALLY ACCEPTABLE. IS  
3 THIS A BASIS FOR A WHOLE LOT OF RESEARCH WORK IN A  
4 GROUP OR A GROUP OF PEOPLE, AND IT'S NOT ACCEPTABLE  
5 UNDER OUR -- UNDER THESE DEFINITIONS BECAUSE THERE  
6 WAS A DONOR WAY BACK THAT DONATED THE EGG AND WHO  
7 DIDN'T GIVE ANY APPROVAL. THAT WAS SOME TIME AGO.

8 SO THERE ARE INDIVIDUAL SITUATIONS WHERE  
9 CELL LINES HAVE NOW BEEN DEVELOPED THAT ARE BEING  
10 USED TO STUDY AREAS OF IMPORTANCE. AND, IN FACT,  
11 THEY HAVE BEEN MADE CLINICALLY ACCEPTABLE OR, AS FAR  
12 AS WE CAN TELL, UNDER APPROPRIATE CONDITIONS THAT  
13 WOULD ACCEPT THEM BEING USEFULLY USED, BUT THEY  
14 DON'T QUALIFY UNDER OUR --

15 CO-CHAIR LANSING: BUT, ALAN, I JUST WANT  
16 TO MAKE SURE I UNDERSTOOD THIS ANSWER BECAUSE I AM A  
17 LAYPERSON. THE FIRST PART OF THE QUESTION, WHICH IS  
18 TO ME A MORE EASY ANSWER, IS THAT PEOPLE -- THAT YOU  
19 BELIEVE THERE'S A PRETTY BIG POOL THAT WOULD ADVANCE  
20 THE SCIENCE OF PEOPLE WHO KNOWINGLY CHECKED RESEARCH  
21 AS A POSSIBLE OPTION.

22 DR. TROUNSON: THERE'S A LARGE NUMBER OF  
23 EMBRYOS, AS I UNDERSTAND, IN THE U.S. WHERE THE BOX  
24 HAS BEEN CLEARLY.

25 CO-CHAIR LANSING: SO SAY THAT. THAT'S A

## BARRISTERS' REPORTING SERVICE

1 VERY IMPORTANT --

2 CHAIRMAN LO: YOU'RE SAYING THE BOX WAS  
3 CHECKED, NOT JUST BY THE PERSON WHO WANTED TO DONATE  
4 THE EMBRYO, BUT WAS ALSO CHECKED FOR RESEARCH BY THE  
5 GAMETE DONOR. THAT'S WHAT I WASN'T SURE OF.

6 DR. TROUNSON: WE DON'T HAVE THAT  
7 INFORMATION, I DON'T THINK, ANYWHERE AT HAND TO SAY  
8 WHAT PORTION OF THOSE THAT ARE. BUT THERE'S  
9 CERTAINLY, IF YOU GO BACK AND ASK IN EACH AND EVERY  
10 CASE, YOU WILL FIND SOME OF THOSE. BUT IT WILL  
11 BE -- IN THE CASE OF A DONATED EGG, THE FURTHER YOU  
12 GO BACK, THE LESS LIKELY THERE IS. AND EVEN NOW YOU  
13 WILL FIND IT, BUT IN THE CASE IT WAS A DONATED  
14 SPERM, AS ANN SAYS, IT'S STILL QUITE COMMON.

15 CO-CHAIR LANSING: THE DONATED EGG, THERE  
16 WILL BE -- BUT YOU THINK -- YOU SAID INITIALLY YOU  
17 THOUGHT THERE WAS A PRETTY BIG POOL OF RESEARCH  
18 CHECKED BOXES.

19 DR. TROUNSON: YES. YOU KNOW, I THINK OF  
20 RESEARCH CHECKED BOXES FOR EMBRYOS; BUT WHEN WE'RE  
21 TALKING ABOUT SORT OF DONATION OF EGGS TO FORM AN  
22 EMBRYO, THAT I CAN'T TELL. AND I DON'T THINK ANYONE  
23 HAS THAT --

24 CHAIRMAN LO: LET ME ASK SHERRY'S QUESTION  
25 A DIFFERENT WAY TO YOU AND ACTUALLY MARIE AS WELL.

## BARRISTERS' REPORTING SERVICE

1 IS THE POOL OF EMBRYOS FOR WHICH WE HAVE CONSENT  
2 FROM THE EMBRYO WOMAN OR COUPLE IN IVF PLUS A CHECK  
3 BOX ON BOTH GAMETE DONOR SUFFICIENT FOR SCIENTIFIC  
4 PURPOSES, OR IS THERE IMPORTANT SCIENCE THAT WOULD  
5 REQUIRE ADDITIONAL EMBRYOS USED -- TO USE IN  
6 RESEARCH WHERE WE HAVE CONSENT FROM THE DONOR COUPLE  
7 IN IVF, BUT WE ACTUALLY DON'T KNOW AT ALL WHAT THE  
8 OOCYTE OR GAMETE OR OOCYTE OR SPERM DONOR, THEY  
9 WEREN'T OFFERED A CHECK BOX? I GUESS THAT'S THE, I  
10 THINK, THE FOLLOW-ON TO SHERRY'S QUESTION.

11 DR. CSETE: SO FOR SCIENTIFIC PURPOSES,  
12 SOMETHING THAT HAS TO LEAVE THE LAB, THE ANSWER IS,  
13 YES, THERE'S SIGNIFICANT MATERIAL. I THINK EVERYONE  
14 WOULD AGREE TO THAT. HOWEVER, WHEN YOU GO FOR  
15 CLINICAL PURPOSES, WE NOW HAVE A SITUATION WHERE YOU  
16 CAN'T REPLACE A HUGE BODY OF BANKED MATERIAL BASED  
17 ON ONE CLINICAL LINE THAT SERVES AS THE MASTER BANK  
18 FOR A POTENTIAL CELL PRODUCT THAT'S VERY CLOSE TO  
19 GOING INTO PATIENTS. SO THAT'S WHY WE'RE HAVING  
20 THIS DISCUSSION.

21 I THINK PART OF YOUR QUESTION, SHERRY, I  
22 WANT TO TRY TO LEAD TO WHAT YOU SAID, WAS ARE THERE  
23 AVAILABLE MATERIALS THAT CAN SORT OF COVER THE NEED.  
24 AND I THINK, YES, THERE ARE FOR A NEED RESTRICTED TO  
25 A LABORATORY. BUT THERE'S A PARTICULAR CLINICAL

## BARRISTERS' REPORTING SERVICE

1 NEED FOR CONSIDERING SOME LINES THAT HAVE BEEN NOW  
2 EXTENSIVELY CHARACTERIZED AND BECOME THE BASIS FOR A  
3 NOMINAL THERAPY.

4 CO-CHAIR LANSING: HOW WOULD YOU EXPLAIN  
5 THAT SECOND PART?

6 DR. CSETE: THOSE ARE FEW. SO A  
7 COMMERCIAL ENTITY DECIDES TO MAKE A DIFFERENTIATED  
8 CELL PRODUCT FROM A HUMAN EMBRYONIC STEM CELL LINE.  
9 YOU'RE NOT GOING TO DO THAT FROM POOLS OF HUMAN  
10 EMBRYONIC STEM CELL LINES. YOU'RE GOING TO DO IT  
11 FROM ONE. AND THE EXPANSION OF THAT BANK THAT WILL  
12 SERVE AS THE SOURCE FOR THE CELLS FOR THERAPY IS  
13 UNIQUE TO THAT LINE BECAUSE THAT'S THE ONE THAT WAS  
14 PICKED, THAT'S THE ONE WHERE YEARS AND A HUGE AMOUNT  
15 OF EFFORT WENT INTO EXPANDING AND FREEZING THE  
16 SOURCE FOR THE FUTURE THERAPIES. AND THIS WAS ALL  
17 DONE PRIOR TO THE REGULATIONS.

18 CO-CHAIR LANSING: HOW DOES THAT -- HOW  
19 ARE WE AFFECTED BY THAT?

20 CHAIRMAN LO: LET ME -- SO THIS IS A  
21 SOMEWHAT DIFFERENT SITUATION, BUT MARIE'S COMMENT IS  
22 ACTUALLY A STEM CELL LINE THAT EXISTS, NOT AN  
23 EMBRYO --

24 CO-CHAIR LANSING: RIGHT.

25 CHAIRMAN LO: -- THAT WAS MADE AT LEAST

## BARRISTERS' REPORTING SERVICE

1 THROUGH AN EMBRYONIC STEM CELL LINE BEFORE 2005, AND  
2 ALSO, JUST TO SORT OF UNDERLINE THE REASON, WAS DONE  
3 UNDER GOOD MANUFACTURING PRACTICES. SO A LOT MORE  
4 ATTENTION TO STERILITY, QUALITY CONTROL, ALL THE  
5 THINGS THAT YOU DIDN'T WANT IN A STEM CELL LINE THAT  
6 MIGHT BE USED FOR TRANSPLANTATION. BUT TYPICALLY IF  
7 WE'RE JUST DOING IT IN THE LABORATORY TO DERIVE A  
8 STEM CELL LINE FOR SCIENTIFIC RESEARCH, YOU WOULDN'T  
9 GO THROUGH ALL THAT EXTRA EFFORT AND COST.

10 SO IT'S ONE PARTICULAR LINE THAT WAS  
11 ACTUALLY AN EXISTING LINE FROM EMBRYOS MADE INTO A  
12 LINE BEFORE NAS OR WE ISSUED GUIDELINES. AND  
13 BECAUSE IT WAS DONE UNDER THESE GOOD MANUFACTURING  
14 PRACTICES, IT'S DEEMED AS HIGHLY DESIRABLE FOR  
15 POTENTIAL TRANSPLANT. SO NOW A LOT OF PEOPLE ARE  
16 USING THIS ONE PARTICULAR LINE TRYING TO  
17 DIFFERENTIATE IT INTO A SPECIALIZED CELL, FOR  
18 EXAMPLE --

19 CO-CHAIR LANSING: I UNDERSTAND.

20 CHAIRMAN LO: -- TRYING TO GET AN ISLET  
21 CELL FOR TRANSPLANTATION.

22 CO-CHAIR LANSING: SO WHY CAN'T WE USE  
23 THAT? WHAT'S THE --

24 CHAIRMAN LO: WELL, THE PROBLEM WAS THAT  
25 WHEN YOU GO BACK TO HOW THAT LINE WAS DERIVED,

## BARRISTERS' REPORTING SERVICE

1 GRANTED, YOU DON'T KNOW THE YEAR, THEY HAD CONSENT  
2 FROM THE WOMAN IN IVF, THE COUPLE IN IVF, BUT ONE OF  
3 THE DONORS WAS ANONYMOUS. THEY DIDN'T KNOW WHETHER  
4 THAT DONOR WOULD HAVE APPROVED. THEY DID AT THE  
5 TIME BECAUSE AT THE TIME THEY DID IT, NO HAD  
6 MENTIONED GETTING CONSENT FROM OOCYTE OR SPERM  
7 DONORS FOR STEM CELL DERIVATION. THEY WENT AHEAD  
8 AND DID IT, WERE SUCCESSFUL, AND NOW IT'S BEING  
9 USED. AND THE QUESTION IS --

10 CO-CHAIR LANSING: THERE'S AN EXISTING  
11 STEM CELL LINE.

12 CHAIRMAN LO: IT'S AN EXISTING LINE WHICH  
13 IS DIFFERENT --

14 CO-CHAIR LANSING: SO IT'S BEEN DONE  
15 ALREADY, SO WE ARE NOT CULPABLE. IT'S BEEN DONE  
16 ALREADY, SO THAT'S AN EXISTING STEM CELL LINE, AND  
17 DO WE NOT USE IT THEN AGAIN, WE'D BE HELD TO A  
18 HIGHER STANDARD. THAT DOESN'T MAKE SENSE TO ME.

19 CHAIRMAN LO: SO THIS IS --

20 CO-CHAIR LANSING: I CAN'T UNDERSTAND  
21 THAT. I'M REALLY SORRY.

22 CHAIRMAN LO: THIS IS -- WE'VE GOT ALL THE  
23 ISSUES.

24 DR. TAYLOR: WITH ALL DUE RESPECT, I'VE  
25 GOT TO SAY THAT IF WE'RE GOING TO DEVELOP A STEM

## BARRISTERS' REPORTING SERVICE

1 CELL LINE AND GO THROUGH GMP PROCEDURES AND DO THIS  
2 WITH A CELL WE HAVE NO INFORMATION ABOUT WHO ARE THE  
3 GAMETE DONORS, I FIND THAT JUST HIGHLY  
4 IRRESPONSIBLE. GIVEN THE OPPORTUNITIES THAT WE  
5 HAVE, GIVEN THE OPPORTUNITIES THAT WE HAVE TO  
6 ACTUALLY KNOW AND DEVELOP CELLS AND KNOW WHAT THE  
7 GENETIC BACKGROUND IS, TO ME IT SEEMS CRAZY TO TAKE  
8 SOMETHING THAT WE ARE NEVER GOING TO HAVE ANY  
9 INFORMATION ABOUT AND DRIVE THAT CELL ALL THE WAY TO  
10 THE END SO THAT THAT BECOMES AN ATTRACTIVE CELL FOR  
11 PRODUCTION.

12 DR. CSETE: I WANT TO MAKE SURE THAT, YOU  
13 KNOW, THAT IT WASN'T DERIVED WITHOUT ANY  
14 INFORMATION. SO I'M JUST CONCERNED THAT IF WE'RE  
15 MAKING DECISIONS ABOUT NOT HAVING CONSENT FROM AN  
16 ANONYMOUS EGG DONOR, THAT THAT HAS IMPLICATIONS FOR  
17 THESE LINES. AND THERE WAS CONSENT. THERE IS  
18 CONSENT FROM SPERM DONOR, THE WOMAN WHO WAS THERE  
19 FOR REPRODUCTIVE PURPOSES, AND THERE'S NO CONTACT  
20 CLAUSE ON THE EGG DONOR, IRB APPROVAL CHECKED OFF,  
21 DONE WITH THE BEST OF THE INTENTIONS EVEN BEFORE  
22 THESE --

23 DR. TAYLOR: THERE ARE LOTS OF GREAT  
24 THINGS THEY CAN DO WITH THE CELLS. IT'S JUST THAT I  
25 DON'T PERSONALLY WANT THOSE CELLS TO TRANSPLANT IN

## BARRISTERS' REPORTING SERVICE

1 MY LIVER.

2 CHAIRMAN LO: WHAT YEAR WAS THAT DERIVED?

3 DR. LOMAX: MARCH -- LET ME JUST LOOK

4 THROUGH. THE EMBRYO WAS CREATED IN 200- --

5 CHAIRMAN LO: WHAT WAS THE LINE?

6 DR. LOMAX: I BELIEVE IT'S A LINE THAT  
7 DIDN'T NEED TO BE -- THE EXTENSION OF THE LINE BEGAN  
8 IN MARCH 2005.

9 MR. KLEIN: AND, BERNIE, I'D LIKE TO  
10 COMMENT ON THIS. ROB, WITH DUE RESPECT, I HAVE A  
11 REAL PROBLEM WITH YOUR POSITION BECAUSE IF IT WAS  
12 LEGALLY DERIVED, AND THIS IS A FIELD THAT'S  
13 DEVELOPING, AND IN THE FIELD OF DIABETES  
14 SPECIFICALLY YOU'RE IMPACTING THAT I'M AWARE OF. IF  
15 YOU'VE GOT TO THE POINT YOU'VE GOT A GMP LINE THAT  
16 MEETS FDA REQUIREMENTS, THAT HAS PROPER  
17 CHARACTERIZATION, AND A TREMENDOUS AMOUNT OF  
18 RESEARCH HAS GONE INTO, AND YOU'RE GOING TO GO BACK  
19 AND SAY YOU DIDN'T HAVE A SPERM DONOR WHO DIDN'T --  
20 WHO WANTED TO BE ANONYMOUS AND DIDN'T WANT TO BE  
21 CONTACTED, AND NOW TO IMPOSE AT THIS POINT AN  
22 OBLIGATION OF THAT PERSON HAVING CONSENTED, WHEN WE  
23 HAVE ALL THE OTHER PROTOCOLS BEING FOLLOWED, I THINK  
24 YOU'RE PUTTING A NEW STANDARD WITH FULL INFORMATION  
25 ABOVE THE IMPORTANCE OF THE PATIENT, WHICH VIOLATES



## BARRISTERS' REPORTING SERVICE

1 SOME BASIC VALUES OF MINE.

2 THE PATIENT HAS TO BE VALUED; THEIR LIFE  
3 HAS TO BE VALUED. WE CANNOT DEFAULT ON OUR  
4 OBLIGATIONS TO THE PATIENTS BECAUSE OF A SUPER  
5 ETHICAL PERSPECTIVE WE NOW HAVE THAT SOME SPERM  
6 DONOR FIVE YEARS AGO OR TEN YEARS AGO MAY HAVE  
7 WANTED TO, IF THEY HAD KNOWN AT THE TIME THEY GAVE  
8 UP CONTROL OF THAT SPERM, TO HAVE SOME FUTURE  
9 CONTROL.

10 SO I JUST DON'T -- I JUST DON'T AGREE IN  
11 TERMS OF ETHICAL VALUES, IN TERMS OF MORAL VALUES,  
12 OR IN TERMS OF LEGAL VALUES ON DEFAULTING OUT THAT  
13 TYPE OF LINE WHEN WE'RE SO DEPENDENT ON MOVING  
14 THERAPIES FOR PATIENTS WHO MAY BE GOING BLIND  
15 BECAUSE THEY HAVE A DISEASE OR LOSING THEIR KIDNEYS  
16 BECAUSE THEY HAVE A DISEASE. AND I THINK WE HAVE TO  
17 WEIGH THAT CONSIDERATION VERY SERIOUSLY.

18 DR. TAYLOR: I APPRECIATE WHAT YOU'RE  
19 SAYING. ACTUALLY MY ISSUES ARE NEITHER ETHICAL NOR  
20 MORAL. THEY'RE PURELY SCIENTIFIC AND INTELLECTUAL.  
21 IT SEEMS TO ME THAT IF WE'RE DEVELOPING CELLS FOR  
22 TRANSPLANTATION, I THINK IT'S BEST TO KNOW THE  
23 FAMILY HISTORY, AS MUCH DETAIL AS WE HAVE ABOUT THE  
24 INDIVIDUAL DONORS, AND TO HAVE DONORS WHO ARE  
25 WILLING TO SORT OF HAVE THAT INFORMATION COLLECTED.

## BARRISTERS' REPORTING SERVICE

1 SO IT'S REALLY NOT AN ETHICAL ISSUE. TO  
2 ME IT'S KIND OF A JUDGMENT ISSUE ABOUT WHAT CELL DO  
3 YOU KIND OF PUSH TO GATHER FIRST. AND IF IT WERE  
4 KIND OF COMING OUT OF MY LABORATORY, THAT WOULDN'T  
5 HAVE BEEN THE CELL LINE THAT I WOULD HAVE TRIED TO  
6 DRIVE TO THE END POINT.

7 NOW, IF YOU'RE TELLING ME THAT SORT OUT OF  
8 DESPERATION WE NEED TO USE WHATEVER IS AVAILABLE,  
9 THEN ONE HAS TO MAKE COMPROMISES. AND I THINK THE  
10 COMPROMISE WE'RE MAKING HERE, AGAIN FROM MY  
11 PERSPECTIVE, IS NOT REALLY A MORAL OR ETHICAL ONE.  
12 IT'S AN INFORMATIONAL ONE. THIS IS THE BEST ONE.  
13 IT'S LIKE SORT OF A BLOOD -- MISMATCHED BLOOD  
14 DONATION WHEN SOMEBODY'S EXSANGUINATED. YOU UTILIZE  
15 WHATEVER YOU'VE GOT.

16 MR. KLEIN: I CERTAINLY AGREE  
17 SCIENTIFICALLY WE WOULD PREFER TO HAVE THE MOST  
18 DETAILED INFORMATION POSSIBLE. IN THIS CASE MY  
19 UNDERSTANDING, IF YOU HAVE A VERY HIGH DEGREE OF  
20 INFORMATION, BUT AS TO THE SPERM DONOR AND THE  
21 DEGREE OF INFORMATION YOU HAVE, WHILE ANONYMOUS, AS  
22 TO HISTORY, I JUST DON'T HAVE THAT INFORMATION. YOU  
23 KNOW, I APPRECIATE THE CLARIFICATION, SO IT'S NOT AN  
24 ETHICAL OR MORAL DECISION. IT'S JUST A QUESTION OF  
25 SCIENTIFIC --

## BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: SO I THINK IT FALLS TO  
2 SCIENCE BECAUSE THERE'S A CONCERN ABOUT SAFETY FOR  
3 THE TRANSPLANTED CELL.

4 MR. KLEIN: I UNDERSTAND.

5 DR. TAYLOR: I JUST KIND OF THINK IT WAS A  
6 BAD EXPERIMENT.

7 CHAIRMAN LO: SO A LOT OF PEOPLE ARE  
8 WANTING TO GET IN, SO LET ME JUST MAKE SURE. JEFF,  
9 MARCY, SHERRY. JEFF, YOU HAVEN'T HAD A CHANCE.

10 MR. SHEEHY: WELL, I GUESS WHAT I'M TRYING  
11 TO FOCUS ON IS KIND OF THE POINT BETWEEN BOB AND  
12 ROB. FOR WANT AFTER BETTER DESCRIPTION, I CALL IT  
13 UTILITY ARGUMENT. AND RATHER THAN TRYING TO REWRITE  
14 ALL OF OUR RULES, IS THERE A WAY TO CAPTURE JUST  
15 THIS -- YOU KNOW, THERE'S A LOT -- AND MAYBE WE CAN  
16 DO ONE-OFF EXCEPTIONS OR, YOU KNOW, THERE'S A LOT  
17 ABOUT THIS ONE PARTICULAR LINE THAT WE COULD  
18 DESCRIBE IN ORDER TO SAY THAT IT'S AN EXCEPTION.  
19 IT'S GMP, CERTAIN TYPES OF -- YOU KNOW, THERE'S ALL  
20 THESE OTHER UTILITY, WHAT IS IT GOING TO BE USED  
21 FOR, WHAT IT'S BEING USED FOR, THE GENERAL -- THE  
22 WAY IN WHICH IT'S KIND OF INVALIDATED FOR USE FOR  
23 ALL THESE OTHER FOLKS BESIDES US THAT COULD BE THE  
24 WAY TO CAPTURE THE ABILITY FOR OUR RESEARCHERS TO  
25 USE IT WITHOUT SUDDENLY SAYING ALL -- YOU KNOW, YOU

## BARRISTERS' REPORTING SERVICE

1 DON'T HAVE TO HAVE IDENTIFYING -- YOU DON'T HAVE TO  
2 BE ABLE TO GET IN CONTACT WITH EVERY GAMETE DONOR.

3 YOU KNOW, BECAUSE IT SEEMS LIKE WE'RE  
4 LETTING THE EXCEPTION DRAW THE RULES WHEN REALLY WE  
5 SHOULD WRITE A RULE FOR THE EXCEPTION. THAT'S MY  
6 POINT.

7 MS. FEIT: I JUST TEND TO AGREE WITH  
8 DR. TAYLOR'S COMMENTS BECAUSE I HAVE A SON WHO'S  
9 GOING BLIND OF DIABETES. HE'S STILL YOUNG. JUST  
10 HAD MAJOR EYE SURGERY. WE DON'T KNOW IF WE CAN SAVE  
11 HIS SIGHT, BUT I WOULD NOT WANT HIM INSTILLED WITH  
12 STEM CELLS COMPETING BEHIND. I MEAN CERTAINLY BEING  
13 BLIND AND LIVING ANOTHER 20 YEARS AS A BLIND PERSON  
14 IS A LIFE-ALTERING SITUATION FOR OTHER THINGS.

15 IN MEDICINE IT'S ALWAYS BEEN THE MANTRA DO  
16 NO HARM. AND SO I THINK YOU HAVE TO BE CAREFUL.  
17 YOU HAVE TO GET KNOWLEDGE, FOLLOW PROCESSES, WE HAVE  
18 TO ACKNOWLEDGE THE FACT THAT WE DON'T HAVE  
19 INFORMATION. THAT CAN MEAN SOMETHING. SO WE DO  
20 HAVE TO BE CAREFUL.

21 I THINK GOING BACK TO SHERRY'S COMMENTS,  
22 WHICH ARE VERY VALID, NOT UNDERSTANDING HOW MANY AND  
23 WHAT THE POOLS ARE AND WHAT THEY REPRESENT. MAYBE  
24 WHERE WE'RE GOING IS INTO AN ERA WHERE WE HAVE TO  
25 HAVE THAT KNOWLEDGE. AND WE HAVE TO KNOW, YOU KNOW,

## BARRISTERS' REPORTING SERVICE

1 HOW MANY OF THOSE EMBRYOS ARE AVAILABLE, WHAT IS THE  
2 CIRCUMSTANCE, ARE THEY DONATABLE, AND ARE THEY  
3 DONATABLE FOR GENERAL RESEARCH.

4 I ALSO AGREE THAT IF THEY SAID THEY COULD  
5 BE DONATED FOR GENERAL RESEARCH, AND WE HAVE ALL THE  
6 RIGHT SCIENTIFIC PROCESS INFORMATION, THAT WE  
7 SHOULDN'T THEN DISCRIMINATE. WE'RE A PART OF  
8 RESEARCH. WE SHOULD MOVE FORWARD.

9 CHAIRMAN LO: LET ME MAKE ONE COMMENT. I  
10 THINK THE LAST THREE OR FOUR SPEAKERS HAVE REALLY  
11 TOUCHED ON SOME IMPORTANT POINTS. I THINK IT'S  
12 POSSIBLE TO SEPARATE OUT THE SAFETY FOR POTENTIAL  
13 TRANSPLANT RECIPIENTS FROM THE QUESTION WHICH WE  
14 HAVE BEFORE US, WHICH IS REALLY SHOULD WE ALLOW  
15 CIRM-FUNDED RESEARCHERS TO WORK WITH THIS LINE. I  
16 THINK TO SOME EXTENT THE FDA CHARGE IS TO WHEN  
17 SOMEONE SAYS I WISH TO DO A CLINICAL TRIAL INVOLVING  
18 THIS CANDIDATE FOR THERAPY, THERE'S WHEN WE SHOULD  
19 LOOK AT THE POTENTIAL RISK TO THE PARTICIPANTS IN  
20 THAT CLINICAL TRIAL, WHICH IN THIS CASE I WOULD HOPE  
21 WE WOULD CONSIDER THIS NOTION OF DO WE HAVE ENOUGH  
22 INFORMATION ABOUT THE GAMETE DONOR.

23 I DON'T KNOW WHAT THE -- THE FDA, THESE  
24 ARE CONFIDENTIAL DISCUSSIONS BETWEEN THE FDA AND THE  
25 INVESTIGATOR AND THE SPONSOR OF THE CLINICAL TRIAL.

## BARRISTERS' REPORTING SERVICE

1 SO I DON'T KNOW WHAT'S TRANSPIRED THERE, BUT I THINK  
2 WE SHOULD ALL KEEP IN MIND THE FDA, TO THE EXTENT IT  
3 DOES ITS CHARGE, IS SUPPOSED TO LOOK AT THIS  
4 QUESTION IN DETAIL WITH REGARD TO THE SPECIFIC  
5 PROTOCOLS. WE DON'T HAVE TO SETTLE THAT. IN FACT,  
6 WE CAN'T BECAUSE WE DON'T KNOW THE DETAILS.

7 DR. CSETE: THAT'S ABSOLUTELY RIGHT.

8 CHAIRMAN LO: IT'S NOT SOMETHING  
9 THAT'S --

10 DR. TROUNSON: IT'S NOT THAT THEY'RE GOING  
11 TO ISSUE GUIDELINES. THIS PARTICULAR ORGANIZATION  
12 HAS BEEN IN CONTACT WITH THE FDA, AND THEY HAVE BEEN  
13 CONTINUING TO DO WORK ON THE BASAL SET. IT IS VERY  
14 LIKELY THAT THAT COULD BE AGREED TO BY THE FDA.  
15 THEY'RE NOT CHARGED WITH SPECIFICALLY THE ETHICAL  
16 ISSUES, BUT BETTER THE MEDICAL RISKS IN SOME  
17 RESEARCH ASPECT. IN REALITY, NEARLY ALL THE  
18 RESEARCH HAS BEEN DONE ON STEM CELL LINES WHERE  
19 THERE'S ABSOLUTELY NO BACKGROUND KNOWLEDGE OR WILL  
20 THERE EVER BE ON THE GENOME AND DISEASE HISTORY.

21 SO I THINK IN A SENSE THAT, YOU KNOW, WE  
22 OUGHT TO IMPROVE THESE THINGS GOING FORWARD. MY OWN  
23 VIEW HERE IS IT'S NOT REALLY US, THE COMMITTEE  
24 SPECIFICALLY, CARTE BLANCHE TO DEVELOP NEW EMBRYONIC  
25 STEM CELL LINES FROM SUCH EMBRYOS, BUT HOPEFULLY

## BARRISTERS' REPORTING SERVICE

1 IT'S GRANDFATHERING IN SOMETHING THAT WAS DONE PRIOR  
2 TO THE ESTABLISHMENT OF OUR GUIDELINES BECAUSE IT  
3 SEEMS LIKE THAT IS SOMETHING THAT WE'VE DONE IN THE  
4 PAST, OR WE'VE AGREED TO IN THE PAST. AND IF  
5 THERE'S SUFFICIENT JUSTIFICATION TO DO THAT, I THINK  
6 IT WOULD BE EXTREMELY HELPFUL OR COULD BE EXTREMELY  
7 HELPFUL IN THE PARTICULAR INSTANCE THAT WE HAVE NOW.

8 CHAIRMAN LO: WE HAVE A LOT OF HANDS.  
9 SHERRY, AND THEN ANN --

10 CO-CHAIR LANSING: I'LL GO LAST SINCE I'VE  
11 TALKED A LOT.

12 CHAIRMAN LO: ANN.

13 DR. KIESSLING: I JUST WANTED TO MAKE A  
14 QUICK RESPONSE TO SHERRY'S QUESTION. ONLY ABOUT 15  
15 PERCENT OF IVF CYCLES IN THE COUNTRY INVOLVE ANY  
16 DONATED GAMETES. SO 85 PERCENT OF IVF CYCLES IN THE  
17 COUNTRY ARE FROM THE COUPLE INVOLVED; IS THAT RIGHT?

18 DR. TAYLOR: (NODS.)

19 DR. KIESSLING: SO WE'RE TALKING ABOUT --  
20 FROM THE EMBRYO WE'RE TALKING ABOUT MAYBE -- IT  
21 MIGHT BE MORE THAN 15 PERCENT OF EMBRYOS BECAUSE EGG  
22 DONORS TEND TO GENERATE MORE EMBRYOS, BUT WE'RE NOT  
23 TALKING ABOUT THAT PROBLEM RELATIVE TO THE ENTIRE  
24 FROZEN EMBRYO BANK. A RELATIVELY SMALL PERCENTAGE  
25 ARE DONATED.

## BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: LET ME JUST, AGAIN, IF I  
2 COULD INTERRUPT FOR A MINUTE. BUT ALL OF THE 15  
3 PERCENT OF EMBRYOS FROZEN IN IVF CLINICS THAT ARE  
4 FROM OOCYTE DONORS, ARE THERE SCIENTIFIC REASONS TO  
5 WANT TO USE THOSE TO DERIVE NEW EMBRYONIC STEM CELL  
6 LINES SINCE THE DONORS ARE YOUNGER AND MAY BE ABLE  
7 TO GET A MORE ROBUST LINE. THAT'S A QUESTION I  
8 DON'T KNOW THE ANSWER TO.

9 DR. TROUNSON: BERNIE, IT'S REALLY HARD TO  
10 ANSWER THAT QUESTION BECAUSE THERE MIGHT BE SOME  
11 SPECIAL REASON TO DO THAT. BUT THEN I THINK, AGAIN,  
12 WE CAN PICK A SPECIAL REASONS TO YOU. AS JEFF SAID,  
13 YOU KNOW, THAT THERE WOULD BE A REASON FOR DOING IT.  
14 FOR EXAMPLE, IF THERE WOULD BE A SPECIFIC GENOTYPE  
15 NOT AVAILABLE SOMEWHERE ELSE. ONE WOULD HAVE  
16 THOUGHT THAT MIGHT BE DIFFICULT.

17 BUT, YOU KNOW, I THINK THERE COULD BE  
18 REASONS. THE OTHER EXAMPLE FOR WHICH DR. CIBELLI  
19 WOULD BE AWARE IS IF AT TIMES WHEN YOU DEVELOP A  
20 SPECIAL STEM CELL LINE, SAY HOMOLOGOUS RECOMBINATION  
21 PROCEDURE THAT YOU INSERTED A REPORTER GENE INTO  
22 THESE CELLS. IT'S TAKEN FOUR YEARS TO DO IT. IT'S  
23 NOW A REAGENT AVAILABLE TO CALIFORNIA SCIENTISTS,  
24 BUT IT FALLS OUT UNDER THESE RULES BECAUSE THE DONOR  
25 WAS NOT -- COULD NOT GIVE APPROVAL. HERE WOULD BE



## BARRISTERS' REPORTING SERVICE

1 ANOTHER EXAMPLE FOR WHICH I THINK I'D WANT THE  
2 COMMITTEE TO CONSIDER THAT CAREFULLY BECAUSE FOUR  
3 YEARS OF WORK TO GET BACK TO THAT POINT IS AN AWFUL  
4 LONG TIME FOR AN IMPORTANT REAGENT.

5 CHAIRMAN LO: JOSE AND THEN ROB AND THEN  
6 ALTA.

7 DR. CIBELLI: FIRST THING I WOULD SAY IS  
8 THAT HAVING BEEN FACED WITH THIS, I THINK THAT  
9 SCIENCE WILL NEVER FINISH ANSWERING ALL THE  
10 QUESTIONS RELATED TO THE DERIVATION OF NEW STEM  
11 CELLS. SO WE ALSO NEED TO REALIZE IT'S BEEN 25  
12 YEARS SINCE THE PERSON (INAUDIBLE). SO THIS IS  
13 GOING TO HAPPEN AND NEEDS TO HAPPEN.

14 THE OTHER QUESTION IS WHO HAS THE LEGAL  
15 AUTHORITY TO DECIDE THE FATE OF THAT EMBRYO? SO IF  
16 THE MALE IS DONATING HIS SPERM, AND AS ALAN WAS  
17 SAYING, THEN THOSE EMBRYOS ARE BASICALLY ADOPTED OR  
18 TAKEN BY THE COUPLE, IS THE COUPLE THE ONE THAT'S  
19 GOING TO DECIDE WHETHER WE'RE GOING GIVE THEM TO BE  
20 RESERVED, WHETHER THEY'VE TO BE DESTROYED, OR GOING  
21 INTO RESEARCH? I THINK THAT WE SHOULD STAY TO THE  
22 WISHES OF THE COUPLE. AND IF THE DONORS, IN FACT,  
23 ARE WILLING GIVE THOSE RIGHTS TO THE COUPLE, WHY  
24 WOULD WE GO BACK AND TRY TO CHANGE THEIR VIEWS?

25 DR. TAYLOR: LET'S SEE. I WAS JUST GOING

## BARRISTERS' REPORTING SERVICE

1 TO -- YOU KNOW, I SORT OF HESITATE TO SAY THIS  
2 BECAUSE WE'LL BE HERE FOREVER ON THE BASIS OF, BUT I  
3 DO BELIEVE THAT THERE'S RESEARCH AND THERE'S  
4 RESEARCH AND THERE'S RESEARCH AND THERE'S RESEARCH.  
5 I SORT OF -- IT'S UNFORTUNATE, BUT I BELIEVE THERE  
6 ARE A LOT OF LAYERS OF THINGS THAT WE MAY HAVE TO  
7 SUBCATEGORIZE IN TERMS OF THE DEGREE OF INFORMATION  
8 THAT WE HAVE BOTH KIND ON KIND OF A SCIENTIFIC BASIS  
9 AND ALSO POSSIBLY ON AN ETHICAL BASIS.

10 SO I DO BELIEVE THAT HOMOLOGOUS  
11 RECOMBINATION CELL LINES THAT WAS DERIVED COULD BE  
12 USEFUL FOR LABORATORY EXPERIMENTS TO LEARN LOTS AND  
13 LOTS AND LOTS OF THINGS AND SHOULD BE MADE AVAILABLE  
14 AS QUICKLY AS POSSIBLE. BUT WHAT I PERSONALLY FEEL  
15 IS THAT WHEN WE'RE TALKING ABOUT CELLS THAT ARE  
16 GOING TO BE PREPARED FOR CLINICAL INTERVENTIONS,  
17 THAT THOSE BE HELD TO THE VERY HIGHEST STANDARD, NOT  
18 JUST ETHICALLY, BUT PARTICULARLY SCIENTIFICALLY SO  
19 THAT WE KNOW AS MUCH ABOUT THOSE AS WE POSSIBLY CAN.  
20 AND SO IT MAY WELL BE THAT WE HAVE GRADED DEGREES OF  
21 CONSENT AND ASSENT.

22 AND, YOU KNOW, MY VIEW, AND I'M A SPERM  
23 DONOR WHO DIDN'T EVEN REALLY -- I HAD NEVER HEARD OF  
24 STEM CELLS, AND THOSE EMBRYOS WERE THEN LATER GIVEN  
25 FOR RESEARCH. I DON'T BELIEVE YOU'VE GOT TO GO BACK

**BARRISTERS' REPORTING SERVICE**

1 AND GET THAT FOR SOMEBODY TO WORK ON STUFF IN THE  
2 LABORATORY. SO, YOU KNOW, AGAIN, I'M SORT OF  
3 SUGGESTING WE MIGHT HAVE AN INCREDIBLY COMPLEX  
4 MATRIX, AND THIS IS GOING TO BE A HUGE PAIN IN THE  
5 REAR TO GO THROUGH THIS POTENTIALLY, BUT I DO THINK  
6 IT'S WORTH THINKING ABOUT DIFFERENT DEGREES OF  
7 CONSENT, DIFFERENT DEGREES OF SORT OF SCIENTIFIC  
8 INFORMATION FOR DIFFERENT ULTIMATE PURPOSES.

9 AND WE'RE CLOSE NOW TO BEING ABLE TO SHOOT  
10 FOR THE MOON AND TO ACTUALLY DO WHAT WE WANT TO DO  
11 WITH THESE STEM CELLS. I'D JUST LIKE TO SEE THE  
12 VARIOUS CANDIDATES GET OUT THERE AND NOT HAVE  
13 SOMEBODY PUSH A PONY THAT THEY'VE INVESTED A LOT OF  
14 MONEY IN THAT MIGHT NOT BE THE BEST ONE TO RIDE DOWN  
15 THE ROAD.

16 MR. KLEIN: I THINK THAT WE HAVE TO RELY  
17 ON THE FDA BECAUSE THIS AREA OF SCIENCE IS CHANGING  
18 CONSTANTLY. I MEAN EVEN IN HUNTINGTON'S DISEASE AND  
19 MAYBE EIGHT DIFFERENT DISEASES, SOME PEOPLE WITH  
20 CERTAIN GENETIC CHARACTERISTICS YOU WOULD BELIEVE  
21 WITH HUNTINGTON'S DISEASE ARE FUNCTIONING NORMALLY  
22 AND SOME THAT HAVE THOSE CHARACTERISTICS THAT ARE  
23 REALLY TOTALLY DISABLED. THE SPEED OF GENETIC  
24 RESEARCH, THE SPEED OF BIOLOGICAL RESEARCH IS  
25 CHANGING OUR LEVELS OF KNOWLEDGE SO CONSTANTLY, THAT

## BARRISTERS' REPORTING SERVICE

1 THE PROBLEM IS THE OPTIMAL IS ALWAYS DIFFERENT. THE  
2 NEXT DAY IT'S GOING TO BE DIFFERENT FROM WHAT IT WAS  
3 THE DAY BEFORE.

4 AND I THINK WE NEED TO BELIEVE THAT THE  
5 FDA IS GOING TO BE EFFECTIVE IN FIGURING OUT WHAT IS  
6 A SCIENTIFICALLY ACCEPTABLE LINE FOR UTILITY  
7 PURPOSES BALANCED IN THE INITIATIVE. FOR ALS IT'S  
8 GOING TO BE DIFFERENT THAN IT IS POTENTIALLY FOR  
9 DIABETES. SO WE'RE GOING TO GET IN A LOT OF  
10 TROUBLE, I THINK, IF WE TRY AND CREATE SOME  
11 SCIENTIFIC STANDARDS THAT PREEMPT OUR SCIENTISTS  
12 FROM ACTUALLY REACHING EVERY DAY. AND WE NEED TO  
13 LOOK AT OUR CHARTER, WHICH HAS BEEN IS IT MORAL, IS  
14 IT ETHICAL, IS IT LEGAL. AND WE ALSO NEED TO  
15 REALIZE THAT OUR SCIENTISTS NEED TO WORK WITH THE  
16 SCIENTISTS FROM OTHER STATES.

17 THE OTHER CHART UP THERE THAT SHOWED US  
18 THAT EVERY OTHER STATE IN THE UNITED STATES THAT HAS  
19 NEEDED SCIENTISTS HAVE DECIDED THAT THESE LINES AS  
20 LONG AS THEY MEET THE OTHER STANDARDS, IF THEY HAD A  
21 SPERM DONOR WHO WAS PAID THAT IT WAS ACCEPTABLE. SO  
22 TO THE EXTENT THAT WE DON'T, ON THE BASIS OF  
23 INTEGRITY, SEE THAT THERE IS A MAJOR ETHICAL ISSUE,  
24 WE SHOULDN'T APPROVE IT. BUT IF WE THINK IT'S GOT  
25 ETHICS AND IT'S GOT MORALS, WE NEED TO APPROVE IT SO

## BARRISTERS' REPORTING SERVICE

1 OUR SCIENTISTS CAN ACTUALLY WORK WITH SCIENTISTS IN  
2 THESE OTHER STATES ON THESE LINES WHICH MAY BE IN  
3 MANY DISEASES VERY IMPORTANT TO THE ADVANCEMENT OF  
4 MEDICAL THERAPIES.

5 CHAIRMAN LO: ALTA.

6 MS. CHARO: I WANT TO IN SOME WAYS RETURN  
7 TO A QUESTION THAT ANN ASKED, BUT WAS NEVER ANSWERED  
8 ABOUT RETROACTIVE APPLICATION BECAUSE I THINK THERE  
9 ARE TWO VERY DIFFERENT DEBATES GOING ON. I FEEL  
10 LIKE OFTEN WE'RE CONFLATING THAT, AND I WANT TO  
11 FOCUS NOW ON THE ETHICS ISSUE ONLY, NOT THE  
12 SCIENTIFIC ISSUE.

13 CLEARLY WE HAVE AN OPTION OF DECIDING WE  
14 WANT TO HAVE THE TIGHTEST POSSIBLE STANDARDS FOR NEW  
15 DERIVATIONS GOING FORWARD, AND WE LIMIT THE RANGE OF  
16 EMBRYOS THAT CIRM GRANTEEES MAY USE TO DIVIDE. AND  
17 THAT'S A CONSIDERED DECISION. YOU CAN GO EITHER  
18 WAY, BUT THAT'S ONE DISCUSSION ABOUT WHAT STANDARDS  
19 YOU WANT TO APPLY TO YOUR OWN GRANTEEES FOR THE  
20 FUTURE GOING FORWARD.

21 IT'S A VERY DIFFERENT QUESTION ASKING  
22 WHETHER OR NOT YOUR GRANTEEES CAN USE MATERIALS, NOT  
23 ONLY THAT WERE CREATED IN THE PAST, BUT THAT WERE  
24 CREATED BY OTHER PEOPLE IN OTHER JURISDICTIONS UNDER  
25 OTHER RULES. AND THIS GETS VERY TRICKY BECAUSE ON

## BARRISTERS' REPORTING SERVICE

1 THE ONE HAND, IF YOU DO WANT, NOT ONLY FOR THE SAKE  
2 OF CAPTIVE RESEARCH, BUT ALSO FOR THE SAKE OF  
3 RESPECT AND ACCOMMODATING AND AVOIDING A KIND OF  
4 CALIFORNIA ETHICAL IMPERIALISM, RIGHT, YOU WANT TO  
5 ACKNOWLEDGE THAT OTHER JURISDICTIONS MAY HAVE RULES  
6 THAT, ALTHOUGH THAT NOT THE SAME, ARE NONETHELESS  
7 REASONABLE WITHIN THE WORLD OF REASONABLE SENSE OF  
8 RULES, AND THAT THERE OUGHT TO BE THE ABILITY TO GO  
9 AHEAD AND USE THOSE LINES BECAUSE THEY WERE JUDGED  
10 TO BE REASONABLY DERIVED UNDER SOMEBODY'S ELSE'S  
11 NOTIONS OF REASONABLE, WHETHER IT'S THE UK HFEA OR  
12 IT'S THE AUSTRALIANS OR IT'S THE ISRAELIS OR  
13 WHATEVER.

14 THAT SAID, WE NONETHELESS ALSO, AND THE  
15 NATIONAL ACADEMIES DID THIS, JUST LIKE CIRM DID  
16 THIS, IDENTIFIED A FEW VERY FUNDAMENTAL CORE  
17 PRINCIPLES WHICH WERE NONNEGOTIABLE, FOR WHICH THERE  
18 WOULD BE AN ETHICAL IMPERIALISM, AN INSISTENCE ON  
19 CERTAIN THINGS. FOR EXAMPLE, AN INSISTENCE THAT THE  
20 EMBRYO, NOT THE GAMETE, BUT EMBRYO DONORS HAD GIVEN  
21 INFORMED CONSENT THAT THE EMBRYOS BE DIVERTED TO  
22 RESEARCH. THAT IT HAD BEEN DONE WITH SOME  
23 INDEPENDENT OVERSIGHT. THESE WERE NONNEGOTIABLES.  
24 THEY GO INTO THE DEFINITION OF SEPARATELY DERIVED.

25 SO IN SOME WAYS I THINK THE QUESTION THAT

## BARRISTERS' REPORTING SERVICE

1 WE MIGHT WANT TO FOCUS ON IS WHETHER OR NOT CONSENT  
2 FROM AN UNDERLYING OFTEN ANONYMOUS GAMETE DONOR IS  
3 ONE OF THOSE NONNEGOTIABLE FUNDAMENTALS THAT WE WILL  
4 INSIST UPON AS A CONDITION FOR THE USE OF LINES THAT  
5 WERE CREATED ELSEWHERE OR AT ANOTHER TIME VERSUS  
6 SAYING THAT THIS IS ONE OF THE ONES WHERE SO LONG AS  
7 IT WAS DONE IN A WAY THAT MET THE REQUIREMENTS AT  
8 THAT TIME IN THAT PLACE, WE WILL ACCEPT AS WITHIN  
9 THE REALM OF REASONABLE. AND FOCUSING ON THAT, I  
10 THINK, MAYBE NARROWS THE DISCUSSION A LITTLE BIT,  
11 NOT TO WHAT WOULD BE OPTIMAL, BUT TO WHAT IS SO CORE  
12 THAT WE WILL NOT YIELD ON IT EVEN IF THE LINES WERE  
13 LEGALLY DERIVED IN ANOTHER PLACE AND TIME.

14 CO-CHAIR LANSING: SO I JUST WANTED TO  
15 MAKE SURE THAT I'M HEARING THIS RIGHT BECAUSE I  
16 THINK WE'VE GOTTEN OFF AND CHANGED SLIGHTLY. I  
17 THINK WE'VE GOTTEN OFF ON WHAT OUR MISSION IS. OUR  
18 MISSION INITIALLY, THE QUESTION THAT WAS PUT BEFORE  
19 THE GROUP WAS WOULD WE REVISE OUR ETHICAL POLICY,  
20 THAT IF THERE WAS A PAYMENT FOR FERTILIZATION, WOULD  
21 WE NOW TAKE THOSE EMBRYOS FOR SCIENTIFIC RESEARCH.  
22 AND INITIALLY WE SAID NO. YOU KNOW, THAT WAS OUR  
23 VERY, VERY, VERY CONSERVATIVE STANCE, AND THAT  
24 STANCE WE ARE NOW REEVALUATING AND QUESTIONING  
25 WHETHER THAT IS TOO HIGH GIVEN THE INFORMATION THAT

## BARRISTERS' REPORTING SERVICE

1 WE'RE FINDING NATIONALLY AND INTERNATIONALLY, AND  
2 ALSO WHETHER IT'S HURTING US IN TERMS OF ADVANCING  
3 THE SCIENCE.

4 DR. TAYLOR: WE'RE BACK TO PAYMENT NOW, SO  
5 I'M KIND OF CONFUSED. REALLY THERE ARE TWO ISSUES.

6 CHAIRMAN LO: LET'S TRY --

7 CO-CHAIR LANSING: NOT PAYING FOR.

8 DR. LOMAX: I THINK FOR THE BENEFIT OF THE  
9 COMMITTEE AND THE AUDIENCE, PERHAPS I COULD JUST LAY  
10 OUT THE CONDITIONS, I THINK THE FACTS AROUND THE  
11 SPECIFIC EXAMPLE WHICH WE WANTED TO BRING TO YOUR  
12 ATTENTION AS AN EXAMPLE OF THE CATEGORY OF PROBLEM  
13 OR ISSUE WE'VE IDENTIFIED.

14 SO THIS DOES NOT ACTUALLY DEAL WITH  
15 PAYMENTS. IT IS THE CASE IN THIS PARTICULAR  
16 EXAMPLE, WHICH WAS DESCRIBED EARLIER BY THE  
17 SCIENTIFIC TEAM, OF AN EXISTING STEM CELL LINE THAT  
18 HAS CERTAIN SCIENTIFIC VALUE, WHICH IS DESCRIBED IN  
19 THE BRIEFING MEMO ON THIS TOPIC. THE TITLE OF THE  
20 MEMO IS "USE OF STEM CELL LINES DERIVED PRIOR TO THE  
21 EFFECTIVE DATE OF THE REGULATION."

22 SO IN THIS CASE IT IS AN EXISTING LINE.  
23 AND WHAT I'VE TRIED TO DO IN THIS VIEW GRAPH IS TO  
24 SUMMARIZE THE POLICY CONSIDERATIONS WHERE THERE'S A  
25 NARRATIVE IN THE BRIEFING MEMO. IF YOU WANT TO



## BARRISTERS' REPORTING SERVICE

1 EVALUATE THOSE LINES AGAINST OUR STANDARD FOR  
2 ACCEPTABLY DERIVED, THE ISSUE OF GAMETE DONOR  
3 CONSENT IS THE ISSUE WHERE THIS LINE DOES NOT MEET  
4 THE LETTER OF OUR STANDARD TODAY. BUT IF YOU WERE  
5 TO GO THROUGH THE ADDITIONAL CRITERIA, THERE WAS NO  
6 PAYMENT FOR THE GAMETE DONOR, IT WAS DONE UNDER IRB  
7 APPROVAL, AND THERE WAS NO REIMBURSEMENT FOR  
8 STORAGE.

9 SO THE FIRST QUESTION WE BROUGHT TO YOU  
10 TODAY WAS ACTUALLY NOT ABOUT PAYMENT PER SE. IT WAS  
11 ABOUT THE ACCEPTABILITY OF EXISTING LINES THAT WERE  
12 IN EXISTENCE PRIOR TO OUR REGULATIONS TAKING EFFECT.  
13 THEY WERE DERIVED OUTSIDE OF SORT OF THE CIRM  
14 UNIVERSE. AND IS THERE -- WE WANT TO CONSIDER SOME  
15 MECHANISM TO BRING A LOT OF THOSE MATERIALS TO ENTER  
16 INTO EXISTING CIRM GRANTS.

17 SO THAT'S THE SPECIFIC --

18 CO-CHAIR LANSING: WHEN YOU SAY EXISTING  
19 STEM CELL LINES --

20 DR. LOMAX: WELL, IT'S EXISTING STEM CELL  
21 LINES DERIVED PRIOR TO THE DATE OF OUR REGULATIONS.  
22 WE USED THAT AS A CUT POINT BECAUSE IT ADDRESSES  
23 THIS ISSUE OF WHETHER OR NOT YOU APPLY THE STANDARDS  
24 RETROACTIVELY OR NOT. AND I THINK THERE'S A  
25 SENTIMENT THAT YOU DON'T APPLY THINGS RETROACTIVELY,

## BARRISTERS' REPORTING SERVICE

1 BUT THAT'S ONE OF THE QUICK CONDITIONS. THE WAY THE  
2 STANDARDS ARE WRITTEN NOW, IF YOU WERE TO READ THEM  
3 AS WRITTEN, THEY DO APPLY RETROACTIVELY. THERE'S NO  
4 EXCEPTION FOR MATERIALS THAT WERE IN EXISTENCE PRIOR  
5 TO OUR STANDARDS. IT WAS THE POINT MR. KLEIN MADE  
6 EARLIER.

7 MR. KLEIN: SO, MADAM CHAIR, MAYBE WE  
8 COULD FOCUS ON THAT FIRST QUESTION ON EXISTING CELL  
9 LINES AND SEE IF THERE'S AN ABILITY TO HAVE A MOTION  
10 ON THAT, AND THEN DECIDE WHETHER WE GO TO THE  
11 MORE --

12 CO-CHAIR LANSING: THE SECOND.

13 DR. KIESSLING: THEN I'D LIKE AN ANSWER TO  
14 MY QUESTION FROM ALTA. ARE THERE EXAMPLES, LEGAL  
15 EXAMPLES, OF THINGS GOING FORWARD THAT ARE ACTUALLY  
16 WHERE TODAY'S STANDARDS ARE APPLIED RETROACTIVELY?  
17 I THINK THAT'S THE NUTS AND BOLTS OF WHAT YOU'RE  
18 ASKING. WHAT IS THE LIABILITY OF ACCEPTING  
19 SOMETHING DOES NOT MEET TODAY'S STANDARD, BUT IT  
20 WAS -- BECAUSE THE STANDARD DIDN'T EXIST WHEN THIS  
21 TOOK PLACE. THERE'S GOT TO BE EXAMPLES OF THAT IN  
22 THE MEDICAL RESEARCH.

23 MS. CHARO: I'M TRYING TO THINK OF  
24 SOMETHING. AND TAMAR WILL THINK OF AS WELL.

25 DR. TAYLOR: AN EXAMPLE COMES TO MIND IS

## BARRISTERS' REPORTING SERVICE

1 THE SPERM DONATION BEFORE THE TIME OF ROUTINE HIV  
2 SCREENING. SO, YOU KNOW, AT THE TIME THE HIV  
3 EPIDEMIC WAS REALLY STARTING TO BREAK OPEN, NOBODY  
4 REALLY NEW VERY MUCH. AND ACTUALLY WOMEN WERE  
5 IMPREGNATED FROM DONATED SPERM FROM DONORS WHO WERE  
6 NOT SCREENED, AND THERE WAS A BIG ISSUE ABOUT WHEN  
7 THAT SCREENING SHOULD HAVE OCCURRED OR WOMEN EXPOSED  
8 TO HIV POSITIVE OR SPERM IN HIV POSITIVE MEN. I  
9 JUST SORT REMEMBER THAT ISSUE.

10 MS. CHARO: WELL, THAT'S INTERESTING. I  
11 THINK WE'LL PROBABLY HAVE TO DOUBLE-CHECK ON THIS,  
12 BUT IT'S A GOOD EXAMPLE YOU BRING UP, ROB, BECAUSE  
13 THE FDA INSTITUTED REQUIREMENTS IN THE '90S, LATE  
14 '90S FOR THE SCREEN NOT ONLY FOR HIV, BUT FOR A  
15 VARIETY OF CONDITIONS, HEP C, HEP B, THINGS LIKE  
16 THAT. AND WHAT I DON'T REMEMBER WAS WHETHER OR NOT  
17 THOSE RULES WERE PROSPECTIVE ONLY FOR THE FUTURE  
18 RECRUITMENT OF SPERM DONORS OR WHETHER THEY  
19 EFFECTIVELY MADE IT -- THEY DISALLOWED THE USE OF  
20 ANY SEMEN THAT HAD BEEN COLLECTED PRIOR TO SCREENING  
21 PRACTICES. I'D BE SURPRISED IF THAT WERE THE CASE  
22 BECAUSE I DON'T REMEMBER THE SPERM BANKS PUTTING UP  
23 A HUGE FUSS, BUT IT COULD BE. AND I WOULD WANT TO  
24 CHECK IT BEFORE ASSUMING THAT THE FDA HAD  
25 ESSENTIALLY ALLOWED THE CONTINUED USE OF THE SEMEN

## BARRISTERS' REPORTING SERVICE

1 THAT WAS SCREENED UNDER THE OLD LAWS.

2 DR. CSETE: I THINK IT FELL UNDER THE SAME  
3 ISSUE AS STORED FRESH FROZEN PLASMA. ALL THAT STUFF  
4 WAS NOT ALLOWED.

5 MS. CHARO: SO ESSENTIALLY IT WAS THROWN  
6 OUT. IT WAS NO LONGER USABLE. BUT, OF COURSE,  
7 THESE WERE ALSO RULES THAT HAD TO DO WITH SAFETY AND  
8 NOT WITH -- TO CHANGE ETHICAL NORMS. YOU KNOW, ROB,  
9 IN MANY WAYS IT'S BEEN AROUND USE OF DATA FROM  
10 RESEARCH THAT IN THE PAST WAS DONE ON THE ASSUMPTION  
11 THAT IT WAS OKAY AND BY TODAY'S STANDARDS IS  
12 CONSIDERED APPALLING. SO OBVIOUSLY THE HOLOCAUST  
13 DATA WAS ONE EXAMPLE OF THAT DISCUSSION AND A  
14 MORE -- A LESS WELL-KNOWN ONE HAD TO DO WITH THE USE  
15 OF THE DATA FROM THE RADIATION EXPERIMENTS THAT THE  
16 DEPARTMENT OF DEFENSE AND THE DEPARTMENT OF ENERGY  
17 DID IN THE '60S AND '70S.

18 AGAIN, IT KIND OF GOES BACK TO THE SAME  
19 THING I WAS TALKING ABOUT BEFORE, WHICH IS SOMETIMES  
20 WE WILL DISALLOW THE USE OF THE DATA BECAUSE THE  
21 PREVIOUSLY PERFORMED RESEARCH VIOLATED A STANDARD  
22 THAT WE NOW THINK OF AS SO FUNDAMENTAL, THAT YOU DO  
23 NOT WISH TO IN ANY WAY AFFILIATE YOURSELF WITH THAT  
24 BEHAVIOR. IN OTHER CASES YOU USE IT ON THE  
25 UNDERSTANDING THAT THERE'S NO WAY WHICH YOU ARE

## BARRISTERS' REPORTING SERVICE

1 FOSTERING THE CONTINUATION OF THAT BEHAVIOR BECAUSE  
2 WE'VE EVOLVED PAST THOSE PREVIOUS NORMS AND YOU TAKE  
3 WHAT YOU CAN.

4 SO I DON'T KNOW THAT THERE'S A SINGLE  
5 LEGAL RULE ABOUT IT. RETROACTIVE APPLICATION IS  
6 USUALLY A PROCESS QUESTION IN THE CONTEXT OF EITHER  
7 CRIMINAL JUSTICE OR OCCASIONALLY ASSESSING LIABILITY  
8 OF A PREVIOUS ACT THAT THIS RULE DOES.

9 MR. KLEIN: SO, MR. CHAIRMAN, JUST TO HAVE  
10 A FORMAL MOTION IN PLACE FOR OUR DISCUSSION, I WOULD  
11 LIKE TO KNOW HOW WE WOULD WORD A MOTION, AND MAYBE  
12 DR. CSETE COULD MAYBE INSTRUCT US ON THIS OR GEOFF.

13 DR. CSETE: BERNIE, YOU CAN HELP WITH THIS  
14 BECAUSE I THINK THE -- ONE SET OF WORDS DIDN'T COME  
15 UP IN THIS DISCUSSION THAT I THINK IS IMPORTANT TO  
16 COME UP IN THIS DISCUSSION IS THAT THE BEST EFFORTS  
17 OF THE ETHICAL STANDARDS AT THE TIME WERE FOLLOWED.  
18 AND SO JUST WHEN YOU FRAME YOUR MOTION, THINK ABOUT  
19 THAT AS PERHAPS A CONTEXT.

20 CHAIRMAN LO: I THINK THAT ACTUALLY GOES  
21 IN THE NEXT SLOT.

22 DR. LOMAX: AND JUST TO GIVE SOME CONTEXT  
23 TO MR. KLEIN'S COMMENT THERE, STAFF WERE APPROACHED  
24 ON THIS, AND I WANT TO ECHO SOMETHING JEFF SAID.  
25 WHAT WOULD YOU RECOMMEND IF YOU WERE GOING TO HANDLE

## BARRISTERS' REPORTING SERVICE

1 THIS IN A REGULATORY CONTEXT? AND, JEFF, YOU SUMMED  
2 IT UP VERY ARTICULATELY. OUR ASSESSMENT WAS THAT WE  
3 WERE NO LONGER AT A STAGE WHERE WE WANT TO CHANGE  
4 THE REGULATIONS FOR EVERY EXAMPLE. WE NEED SOME  
5 SORT OF A PROCESS.

6 SO WE CAME BACK AND SUGGESTED THAT FROM AN  
7 ADMINISTRATIVE, REGULATORY LENS THAT SOME TYPE OF  
8 PROCESS TO ADDRESS THIS AS OPPOSED TO CHANGING THE  
9 REGULATIONS WAS ADVISED BECAUSE OTHERWISE YOU DON'T  
10 HAVE REGULATIONS ANYMORE. YOU END UP WITH A SERIES  
11 OF PATHWAYS THAT LOSE SORT OF PARSIMONY. SO THE  
12 NEXT SLIDE WAS OUR SUGGESTION, WHICH WOULD BE SOME  
13 SORT OF PROCESS THAT WOULD ALLOW YOU TO EVALUATE  
14 THESE CRITERIA WHICH ARE REALLY THE DRIVING CRITERIA  
15 IN THE REGULATIONS. SO TURN IT OVER TO YOU, BERNIE.

16 MR. KLEIN: SO I ASKED THE QUESTION, BUT  
17 IT'S NOT BEEN ANSWERED.

18 CHAIRMAN LO: LET ME MAKE THE PROPOSAL,  
19 BOB, AS A STRAW. AGAIN, WE'RE NOT -- WE DON'T HAVE  
20 A QUORUM, SO THIS IS REALLY --

21 CO-CHAIR LANSING: WE CAN GO BACK.

22 CHAIRMAN LO: LET ME PROPOSE, IF I MAY,  
23 AND GEOFF YOU CAN GET THIS DOWN, THAT FOR HESC LINES  
24 THAT ALREADY BEEN DERIVED, OUR REGULATIONS EFFECTIVE  
25 IN NOVEMBER 2006 THAT ARE ALREADY DERIVED MAY BE

## BARRISTERS' REPORTING SERVICE

1 USED BY CIRM-FUNDED RESEARCHERS PROVIDED THAT, NO.  
2 1, THAT THEY MET THE ETHICAL AND LEGAL STANDARDS IN  
3 PLACE AT THE TIME WHEN JURISDICTION WAS DERIVED.  
4 TWO, THAT THE -- SO THE ISSUES ARE CONSENT, IRB  
5 APPROVAL, AND SO FORTH.

6 SECOND, THAT THERE WAS CONSENT FROM THE  
7 DONOR COUPLE IN IVF WHO HAD A FROZEN EMBRYO TO  
8 DONATE THAT. AND I THINK WE'RE GOING TO HAVE TO  
9 SORT OF THINK THROUGH HOW MUCH CONSENT THAT WOULD  
10 TAKE. WHERE POSSIBLE --

11 MR. KLEIN: CONSENT CONSISTENT WITH  
12 STANDARDS OF CONSENT AT THE TIME.

13 CHAIRMAN LO: AT THE TIME. THAT'S RIGHT.  
14 AND THEN THERE MUST BE NO SORT OF INDICATION THAT  
15 THE GAMETE DONORS WOULD OBJECT TO THIS RESEARCH USE,  
16 SO THEY KNOW THE GAMETE DONOR OBJECTED.

17 ALSO, I WOULD SUGGEST WE HAVE THAT AN IRB  
18 OR EQUIVALENT APPROVE THE PROCESS BY WHICH THE  
19 MATERIALS WERE GIVEN TO THE RESEARCHERS AND THE  
20 DERIVATION. OKAY.

21 SO THAT'S, I THINK, THE GIST OF THAT.  
22 NOW, GEOFF HAS ALSO PROPOSED A PROCEDURE FOR HOW  
23 THAT'S DONE BECAUSE, AS YOU A NUMBER OF YOU HAVE  
24 SUGGESTED, WHAT'S LIKELY TO HAPPEN, THERE WILL BE  
25 SPECIFIC LINES THAT HAVE ACHIEVED THEIR USEFULNESS

## BARRISTERS' REPORTING SERVICE

1 OR SCIENTIFIC VALUE WHERE THERE WILL BE CERTAIN  
2 CIRCUMSTANCES THAT WE MAY NEED TO CONSIDER THIS ON A  
3 CASE-BY-CASE BASIS. AND GEOFF HAD SUGGESTED A  
4 PROCEDURE BY WHICH CIRM STAFF WITH ALAN TO MAKE A  
5 RECOMMENDATION TO THE ICOC WITH ADVICE AND INPUT  
6 FROM ONE OR TWO MEMBERS OF THE SWG. THAT'S A  
7 PROCEDURAL ISSUE OF HOW THAT ACTUALLY GETS DONE.

8 BUT IN TERMS OF THE SUBSTANCE, THAT'S HOW  
9 I FELT IN RESPONSE TO YOUR VERY -- TONE OF MY  
10 QUESTION.

11 MR. KLEIN: SO FOR PURPOSES OF THE  
12 DISCUSSION AT THIS POINT IN OUR MEETING, THAT IS THE  
13 QUESTION. AND ARE WE AT A POINT WHERE ON THIS  
14 NARROW ISSUE WE CAN ASK THE MEMBERS WHAT THEIR STRAW  
15 VOTE POSITION IS BECAUSE WE HAVE OTHER POSITIONS TO  
16 DISCUSS IN THIS MEETING.

17 CHAIRMAN LO: GEOFF, IF YOU COULD PUT THAT  
18 UP ON THE SCREEN AND READ IT, THAT WOULD BE GREAT.

19 DR. KIESSLING: SO, BERNIE, THE ONLY THING  
20 ABOUT THIS THAT TROUBLES ME IS THAT WHEN WAS THIS  
21 LINE DERIVED?

22 DR. CSETE: BEFORE THE NATIONAL ACADEMY  
23 GUIDELINES WERE PUBLISHED, JUST BEFORE ACTUALLY.

24 DR. KIESSLING: 2002?

25 CHAIRMAN LO: 2005.



## BARRISTERS' REPORTING SERVICE

1 DR. KIESSLING: SO ARE WE TO SAY THAT IN  
2 2005 THE ETHICAL STANDARD IN THIS COUNTRY WAS THAT  
3 IT WAS ALL RIGHT TO DERIVE A STEM CELL LINE FROM AN  
4 EMBRYO FROM WHICH YOU DID NOT HAVE THE CONSENT OF  
5 BOTH GAMETE DONORS? I DON'T THINK THAT WAS THE  
6 ETHICAL STANDARD.

7 DR. CSETE: NO. THEY HAD THE CONSENT SO  
8 THAT THE -- THE EMBRYO WAS CREATED IN 2001. THEY  
9 HAD THE CONSENT OF THE COUPLE.

10 DR. KIESSLING: BUT NOT THE GAMETE DONORS?

11 DR. CSETE: NOT THE GAMETE DONORS.

12 MR. KLEIN: IT WAS 2001.

13 DR. KIESSLING: ARE WE SAYING THAT IN 2001  
14 THE ETHICAL STANDARD IN THIS COUNTRY WAS THAT IT DID  
15 NOT MEET --

16 CHAIRMAN LO: WELL, I THINK THIS IS A NICE  
17 ILLUSTRATION OF IS THERE ARE ISSUES THAT HAVE TO DO  
18 WITH THE GENERAL POLICY, AND OTHERS HAVE BEEN  
19 LOOKING AT A SPECIFIC LINE. I GUESS I'D LIKE TO  
20 HAVE US TRY AND AGREE --

21 DR. KIESSLING: YOU KNOW, I'M HAPPY TO  
22 LOOK AT THE SPECIFIC LINE. I THINK THERE ARE  
23 COMPELLING ARGUMENTS ON THIS LINE. I DON'T WANT IT  
24 CONSTRUED THAT WE AGREE THAT THE ETHICAL STANDARD  
25 HAS ONLY EVOLVED IN THE LAST FIVE YEARS.

## BARRISTERS' REPORTING SERVICE

1 MS. CHARO: ANN, I WOULD SIMPLY NOTE THAT  
2 THE NIH ALLOWED FEDERAL MONEY TO BE USED ON THE  
3 LINES THAT WE NOW CALL THE PRESIDENTIAL LINES EVEN  
4 KNOWING THAT THERE WAS A LACK OF CLARITY ABOUT  
5 WHETHER THERE HAD BEEN AN ANONYMOUS DONOR OF GAMETES  
6 USED IN SOME OF THOSE EMBRYOS. FROM THE VERY  
7 BEGINNING THEY SAID THEY DIDN'T KNOW ONE WAY OR THE  
8 OTHER. SO IF ANYTHING, AT LEAST THE U.S. FEDERAL  
9 GOVERNMENT'S ETHICAL STANDARD WAS, WELL, IT DOESN'T  
10 MATTER ENOUGH THAT WE'RE GOING TO DISALLOW THINGS  
11 BASED ON THE FACT THAT WE CAN'T BE SURE THAT CONSENT  
12 WAS OBTAINED. THAT WAS THE FEDERAL GOVERNMENT'S  
13 POSITION. I DON'T KNOW IF THAT'S THE NORM.

14 CHAIRMAN LO: LET ME SUGGEST WE SEPARATE  
15 OUT THE GENERAL STANDARD OF HOW WE APPLY  
16 (UNINTELLIGIBLE) BECAUSE I DON'T WANT TO SAY RIGHT  
17 NOW IN THIS PARTICULAR CELL LINE WITHOUT SEEING MORE  
18 FACTS ABOUT THE CASE. I THINK IF WE CAN AGREE THAT  
19 HAVING THESE BE LEGAL, ETHICAL STANDARDS AT THE  
20 TIME, THEN I THINK IT'S A DIFFERENT -- IT'S A SECOND  
21 ORDER OF QUESTION. AT THAT PARTICULAR DAY IN TIME  
22 WAS IT THE LEGAL, ETHICAL STANDARD? AND YOU HAVE TO  
23 LOOK AT THE NIH POLICY, LOOK AT THE NAS POLICY, ROB  
24 TAYLOR AND OTHERS OF IN 2004 WAS LIKE. I MEAN  
25 THAT'S THE KIND OF A POINT IN A PARTICULAR CASE THAT

## BARRISTERS' REPORTING SERVICE

1 I DON'T THINK WE NEED TO DO TODAY.

2 WHAT WE CAN DO IS LAY OUT THE TIMELINE AND  
3 SAY WHO MAKES THAT DETERMINATION. I MEAN IF WE CAN  
4 AGREE --

5 DR. TAYLOR: LET'S CLARIFY THE TIME. 2001  
6 IS WHEN IS THE EMBRYO WAS CREATED. 2005 IS WHEN THE  
7 CELL LINE WAS CREATED.

8 CHAIRMAN LO: SO I WOULD SAY IT HAD --  
9 THAT WHEN THE LINE WAS DERIVED, SO IF HESC LINE WAS  
10 DERIVED, IT HAD TO BE BEFORE -- AGAIN, WE CAN ARGUE  
11 WHETHER IT'S OUR GUIDELINES OR THE NAS GUIDELINES,  
12 BUT WE ADOPTED THE NAS GUIDELINES AS TEMPORARY  
13 GUIDELINES AS SOON AS WE KNEW THEY EXISTED. BUT ONE  
14 OF THOSE CUTOFF DATES IS WHEN YOU HAVEN'T DERIVED  
15 THE LINE. THAT'S WHAT WE'RE TALKING ABOUT. WE CAN  
16 TALK ABOUT SOME OTHER CASES LATER, BUT LET'S TALK  
17 ABOUT DERIVING -- THE DERIVATION WAS DONE BEFORE  
18 THAT DATE. AND THE CRITERIA ARE IRB APPROVAL  
19 MEETING THE ETHICAL AND LEGAL STANDARDS AT THE TIME.  
20 AND NO CONTRADICTIONS -- AND CONSENT FROM THE EMBRYO  
21 DONOR AND NO CONTRADICTORY IDEA THAT THE -- AND NO  
22 INDICATION THAT THE GAMETE DONORS, IF ANY, WOULD  
23 HAVE OBJECTED TO THE RESEARCH.

24 WE'VE GOT TO GET THAT DOWN BECAUSE THIS IS  
25 THE SENSE THAT WE'RE GOING TO TRY AND ASK SHERRY AND

## BARRISTERS' REPORTING SERVICE

1 ROB AND JEFF AND MARCY TO TAKE BACK TO THE ICOC.  
2 IT'S REALLY OUR THINKING ON THIS TOPIC RATHER THAN A  
3 FORMAL VOTE.

4 MR. KLEIN: QUESTION FOR YOU. UP THERE  
5 THERE'S THIS KIND OF COMMENT ABOUT NO PAYMENT.

6 CHAIRMAN LO: YEAH. THAT'S WHY I WANT TO  
7 HAVE A NEW SLIDE FROM WHAT I WAS JUST TRYING TO SAY,  
8 AND THEN WE CAN MODIFY THAT. THAT WAS THE FIRST  
9 CUT.

10 MR. KLEIN: THIS ISSUE UP THERE I THINK WE  
11 SHOULD ELIMINATE. THE ISSUE OF PAYMENT FOR EMBRYO  
12 STORAGE SHOULDN'T BE THE CRITERIA. THAT'S  
13 IRRELEVANT TO THIS DISCUSSION.

14 DR. KIESSLING: PAYMENT OF ANY KIND IS  
15 IRRELEVANT TO THIS DISCUSSION.

16 MR. KLEIN: TO THIS DISCUSSION.

17 CHAIRMAN LO: OF THE DERIVED CELL LINES  
18 THE EXISTENCE BEFORE -- I GUESS -- IT STRIKES ME --  
19 THERE'S AN ARGUMENT TO BE MADE FOR HAVING ALTA'S NAS  
20 GUIDELINES OF MAY 2005 --

21 MS. CHARO: APRIL.

22 CHAIRMAN LO: -- APRIL 2005 BE THE CUTOFF  
23 DATE. I FORGET, BOB, YOU'LL HAVE TO REMIND ME WHEN  
24 WE ADOPTED THOSE AS OUR INTERIM GUIDELINES.

25 MR. KLEIN: WE ADOPTED THOSE IN MAY OF

## BARRISTERS' REPORTING SERVICE

1 2005.

2 CHAIRMAN LO: IT WAS THE YEAR BEFORE -- A  
3 GOOD YEAR BEFORE OUR -- YEAR AND A HALF BEFORE OUR  
4 SWG GUIDELINE RECOMMENDATIONS WERE ACCEPTED BY ICOC.

5 OKAY. SO THESE WE'RE GOING TO TAKE OFF.  
6 WHY DON'T YOU JUST LOOK AT THAT AND SEE IF THAT'S  
7 THE SENSE OF OUR GROUP TO GO BACK TO THE ICOC.

8 MR. KLEIN: AS I UNDERSTAND IT, IN EVERY  
9 CASE, THE SCIENTIFIC STAFF IS GOING TO LOOK AT  
10 SPECIFIC LINES AND SPECIFIC STANDARDS. WE'RE NOT  
11 DOING THAT. WE DON'T HAVE ENOUGH YEARS IN OUR LIFE  
12 LEFT TO LOOK AT THAT, BUT SO WE'RE CREATING POLICY.

13 CHAIRMAN LO: POLICY. I DON'T KNOW IF  
14 IT'S WITHIN OUR AMBIT OR WHETHER IT WOULD BE HELPFUL  
15 TO MAKE A RECOMMENDATION TO CIRM LEADERSHIP AS TO  
16 WHAT THAT PROCESS SHOULD LOOK LIKE. OBVIOUSLY YOU  
17 NEED SCIENTIFIC INPUT, STAFF INPUT, ALAN, AND BOB,  
18 AND YOU MIGHT ALSO WANT ONE OR TWO MEMBERS OF THIS  
19 GROUP TO GIVE ETHICAL ADVICE ON WHAT, FOR EXAMPLE,  
20 IS LEGAL AT THE TIME. IT'S AN OPEN QUESTION TO WHAT  
21 EXTENT YOU WANT ANYONE FROM THIS GROUP INVOLVED IN  
22 THOSE CASE-BY-CASE DETERMINATIONS.

23 MR. KLEIN: ON A CASE-BY-CASE BASIS, IF WE  
24 COULD HAVE THE PRESIDENT HAVE THE ABILITY AND CHIEF  
25 SCIENTIFIC OFFICER HAVE THE ABILITY TO CONTACT A

## BARRISTERS' REPORTING SERVICE

1 MEMBER OF THIS GROUP, OR IF THERE'S A VERY  
2 SPECIALIZED QUESTION TO GO SOME OTHER PERSON OF  
3 SPECIALIZED INFORMATION. MY BELIEF IS THAT THEY  
4 WOULD GENERALLY ALWAYS COME TO THIS GROUP FOR INPUT,  
5 BUT I THINK WE SHOULD GIVE THE PRESIDENT AND CHIEF  
6 SCIENTIFIC OFFICER THE ABILITY TO ALSO DRAW  
7 INFORMATION FROM OUTSIDE.

8 CHAIRMAN LO: SO WE'RE NOT GOING TO MAKE  
9 THESE CASE-BY-CASE DETERMINATIONS AS A GROUP. WE'RE  
10 GOING TO SET THE GENERAL POLICY, AND IT'S GOING TO  
11 BE IMPLEMENTED BY THE PRESIDENT AND THE SCIENTIFIC  
12 OFFICER.

13 THOSE WHO ARE ON THE ICOC, JEFF, MARCY,  
14 SHERRY, ANY ADDITIONAL.

15 MS. FEIT: I THINK JUST BASED ON -- WE'RE  
16 JUST TALKING ABOUT PROCESS ON EVALUATING THESE LINES  
17 AS WE MOVE FORWARD. WE'RE NOT TALKING ABOUT MAKING  
18 THOSE DETERMINATIONS. I THINK IT WILL HAVE TO  
19 INVOLVE SOLVING WHAT IS KNOWN ABOUT THEM, AND WHERE  
20 IT CAME FROM, WHEN IT WAS ESTABLISHED, WHAT WAS  
21 INVOLVED. AND THEN I THINK IN TERMS OF -- SO WE'RE  
22 JUST LOOKING AT PROCESS OF HOW THAT'S GOING TO  
23 HAPPEN AT THIS INSTITUTION.

24 CHAIRMAN LO: MORE THAN PROCESS. WE'RE  
25 SAYING GENERAL POLICY STANDARDS THAT WILL BE CARRIED

**BARRISTERS' REPORTING SERVICE**

1 OUT ON A CASE-BY-CASE BASIS BY MARIE AND ALAN.

2 DR. CSETE: AND I'M EXPECTING THAT THIS IS  
3 A RARE EVENT.

4 MS. FEIT: I THINK THAT NEEDS TO BE  
5 STATED, THOUGH, IN THE PROCESS TO ENSURE THAT THE  
6 BOARD UNDERSTANDS THAT PROCESS.

7 CO-CHAIR LANSING: AND THAT THIS IS RARE.  
8 THAT THIS IS FOR THIS UNIQUE LINE. THIS IS NOT  
9 COMMON POLICY. THIS IS A RARE POLICY.

10 MR. KLEIN: I THINK THAT THE DERIVATIVE OF  
11 THAT, SHERRY, MAY BE GIVEN VERY LARGE NUMBERS OF  
12 LINES, WE CAN SEE IN THE PETITIONS FAIRLY FREQUENTLY  
13 IF ONE HAS 200 LINES, BUT YOU MIGHT SEE THEM COMING  
14 UP AT EVERY BOARD MEETING. AS LONG AS THERE'S A  
15 GOOD UTILITY FOR IT --

16 CO-CHAIR LANSING: THAT'S FINE.

17 MR. KLEIN: -- IT'S FINE.

18 CO-CHAIR LANSING: I THINK THAT'S GREAT.

19 CHAIRMAN LO: I WANT TO ASK ALAN TROUNSON  
20 AND MARIE CSETE TO SORT OF --

21 MR. SHEEHY: I JUST -- COULD I SEE THE  
22 WHOLE THING BECAUSE I'M NOT -- I JUST WANT -- I GET  
23 THESE CRITERIA AND THEN THE PROCESS.

24 CHAIRMAN LO: THE PROCESS WILL BE  
25 SEPARATE, SO YOU WANT TO TALK ABOUT THAT NOW?

## BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: AS LONG AS THERE'S A ROBUST  
2 PROCESS THAT VALIDATE THOSE CRITERIA. THIS PROCESS  
3 AS DESCRIBED HERE SEEMS FINE. AND THEN EFFECTIVE  
4 DATE WE'RE TALKING ABOUT IS MAY 2005 OR THE NOVEMBER  
5 2006?

6 CHAIRMAN LO: I WOULD SAY MAY 2005, BUT  
7 THAT'S THE PLEASURE OF THE COMMITTEE. THAT'S WHEN  
8 WE FIRST PUT IN PLACE OUR INTERIM REGS.

9 MR. KLEIN: BY CHOOSING THAT DATE, YOU GET  
10 A GAP BECAUSE OUR REGS ARE MORE RESTRICTIVE THAN THE  
11 NATIONAL ACADEMY, SO YOU CREATE A GAP. SO I THINK  
12 YOU HAVE TO GO WITH WHEN OUR REGS WERE IN PLACE  
13 BECAUSE OTHERWISE YOU HAVE A SITUATION WHERE YOU'RE  
14 HOLDING THEM TO A HIGHER STANDARD THAT WASN'T IN  
15 PLACE IN 2005.

16 CHAIRMAN LO: AS FAR AS MY THOUGHTS WERE,  
17 THE NAS ISSUED ITS GUIDELINES IN MAY -- APRIL 2005.  
18 THOSE BECAME DE FACTO NATIONAL STANDARDS.

19 MR. KLEIN: RIGHT. SO IT WILL BE JUDGED  
20 AGAINST THOSE AT THAT TIME.

21 CHAIRMAN LO: AT THAT TIME.

22 MR. KLEIN: RIGHT. AND THE LATER DATE,  
23 THEN THERE'S OTHERS THAN WHEN OUR STANDARDS  
24 OCCURRED.

25 CHAIRMAN LO: SO LET'S LOOK AT THE



## BARRISTERS' REPORTING SERVICE

1 PROCESS. GEOFF, IF YOU'D GO TO NEXT SLIDE FOR  
2 PROCESS BECAUSE I THINK JEFF RIGHTLY WANTS US TO  
3 CONSIDER THEM BOTH TOGETHER AS A PAIR.

4 DR. KIESSLING: THE EFFECTIVE DATE IS  
5 2005, MAY 2005.

6 CHAIRMAN LO: APRIL OF 2005. NO. NO.  
7 NO. THE EFFECTIVE --

8 MR. SHEEHY: IT IS NOVEMBER 2006.

9 CHAIRMAN LO: NOVEMBER 2006 FOR OUR  
10 REGULATIONS TO KICK IN EFFECT. OTHERWISE WE'RE  
11 GOING TO TIE IT ALL -- BOB, HAD THE NAS  
12 GUIDELINES --

13 DR. KIESSLING: WHAT IS THE EFFECTIVE DATE  
14 THEN?

15 CHAIRMAN LO: NOVEMBER 2006 WITH THE  
16 UNDERSTANDING, AS WE TURN THIS, IS AS OF APRIL 2005,  
17 THE NATIONAL STANDARD WAS THE NAS GUIDELINES.

18 DR. KIESSLING: WHAT'S THE EFFECTIVE DATE  
19 FOR --

20 CHAIRMAN LO: THIS IS -- THE PREVIOUS  
21 LINE, I THOUGHT WE WERE SAYING NOVEMBER 200- --

22 MR. SHEEHY: WE GOT TWO DIFFERENT THINGS.

23 DR. LOMAX: THIS IS A POINT OF  
24 CLARIFICATION, AND, ALTA, I'LL DEFER TO YOU ON THIS.  
25 MY UNDERSTANDING IS THAT THESE LINES WOULD NOT BE

**BARRISTERS' REPORTING SERVICE**

1 DISQUALIFIED UNDER THE NATIONAL ACADEMY'S STANDARDS.  
2 SO IT'S NOT AN ISSUE UNTIL YOU GET TO NOVEMBER 2006  
3 BECAUSE THE PREVAILING STANDARD OF THE TIME THAT WE  
4 ADOPTED AS INTERIM STANDARDS WERE THE NATIONAL  
5 ACADEMY STANDARDS. AND THESE LINES WOULD MEET THE  
6 NATIONAL ACADEMY STANDARDS.

7 MR. KLEIN: MORE FOCUSED, IN APRIL 2005,  
8 THEY HAVE TO MEET THE NATIONAL ACADEMY STANDARDS.  
9 IN 2006 THEY HAVE TO MEET OUR STANDARDS.

10 (SIMULTANEOUS DISCUSSION.)

11 MR. SHEEHY: SO WE HAVE TWO DIFFERENT  
12 DATELINES.

13 MS. PACHTER: THERE YOU WANT THE DATE  
14 THAT -- FOR PURPOSES OF ESTABLISHING A PROCESS, YOU  
15 WANT THE EFFECTIVE DATE OF OUR INTERIM REGULATIONS,  
16 THE CIRM INTERIM REGULATIONS.

17 DR. KIESSLING: THAT'S MAY 2005.

18 MR. SHEEHY: NO. THAT'S NOVEMBER 22 --

19 MS. PACHTER: NOVEMBER 2006.

20 MR. SHEEHY: YEAH.

21 MS. PACHTER: IT WAS THE NATIONAL  
22 ACADEMY'S GUIDELINES THAT WERE ADOPTED IN 2005.

23 DR. KIESSLING: WE ADOPTED THOSE, AND  
24 AREN'T THOSE THE CRITERIA THAT WE'RE TALKING ABOUT?

25 MR. SHEEHY: ANN, LET ME TRY TO DESCRIBE

**BARRISTERS' REPORTING SERVICE**

1 IT TO YOU. ONE IS WHAT LINES DO WE WANT TO CONSIDER  
2 GRANDFATHERING, AND THOSE ARE THE ONES THAT WERE  
3 CREATED BEFORE OUR EFFECTIVE REGULATIONS IN  
4 NOVEMBER. THOSE LINES, THOUGH, WOULD HAVE TO BE, IF  
5 YOU LOOK AT THE LAST LINE, WOULD HAVE TO BE GOVERNED  
6 BY THE NAS STANDARDS IN THAT GAP.

7 MR. KLEIN: THAT'S RIGHT.

8 MR. SHEEHY: SO THE NAS STANDARDS ARE  
9 APPLICABLE TO THOSE LINES IF THEY WERE CREATED WHILE  
10 THE NAS GUIDELINES WERE AVAILABLE BECAUSE THAT WOULD  
11 HAVE BEEN THE PREVAILING ETHICAL-LEGAL STANDARD.

12 DR. KIESSLING: OKAY. SO --

13 MR. SHEEHY: BUT IN THE EVENT FOR OUR  
14 CONSIDERATION IN GRANDFATHERING, THE TOP LINE, THAT  
15 SHOULD BE NOVEMBER OF '06.

16 DR. KIESSLING: SO NOVEMBER. AND THEN IN  
17 PLACE AT THE TIME IS MAY OR APRIL 2005.

18 MR. SHEEHY: WELL, IT WOULD BE WHATEVER IS  
19 IN PLACE.

20 (SIMULTANEOUS DISCUSSION.)

21 CHAIRMAN LO: LET'S LOOK AT -- GEOFF HAD  
22 SOME SUGGESTIONS FOR PROCESS. SO THIS IS ACTUALLY A  
23 LITTLE DIFFERENT THAN WHAT BOB SAID. SO BASICALLY  
24 SOMEONE COMES TO CIRM AND SAYS WE'D LIKE YOU TO  
25 APPROVE THE USE OF THIS PARTICULAR CELL LINE BY A

## BARRISTERS' REPORTING SERVICE

1 CIRM-FUNDED RESEARCHER. SO CIRM STAFF MIGHT KNOW,  
2 THAT MEANS CHIEF SCIENTIFIC OFFICER, PRESIDENT, CEO,  
3 AND BOB SUGGESTED ACTUALLY THAT WE GIVE THE  
4 PRESIDENT AND CHIEF OPERATING OFFICER THE OPTION OF  
5 CONSULTING, NOT JUST ANYONE HERE ON THE SWG, BUT  
6 ALSO OUTSIDE EXPERTS. THIS WAS WRITTEN A LITTLE  
7 DIFFERENTLY. GOES TO THE ICOC WITH A RECOMMENDATION  
8 FROM STAFF AS TO WHAT ICOC SHOULD DO AND IT PROVIDES  
9 DOCUMENTATION.

10 THE ICOC CONSIDERS THAT IN ONE OF THEIR  
11 PUBLIC MEETINGS. SO THERE IS A PROCESS IN PLACE FOR  
12 PUBLIC COMMENT ON THIS AS WELL, BUT THE -- WE HAD  
13 THOUGHT THAT THE INITIAL DETERMINATION SHOULD BE  
14 DONE PRIMARILY BY CIRM STAFF FOR EFFICIENCY,  
15 CONSULTING WITH MEMBERS. I DON'T THINK SHERRY OR I  
16 HAVE A VESTED INTEREST IN ALWAYS BEING THE ONES  
17 BEING CONSULTED. I THINK --

18 CO-CHAIR LANSING: IT'S OUR PLACE TO GO  
19 BACK TO THE GROUP WHEN WE SEE SOME PROBLEMS.

20 CHAIRMAN LO: BOB AS WELL, BUT THERE COULD  
21 BE OTHER EXPERTS AROUND THE WORLD WHO MAY, IN FACT,  
22 BE CONSULTED.

23 MR. KLEIN: AND IT MAY HAVE BEEN DERIVED  
24 IN SOME OTHER --

25 MR. SHEEHY: I MIGHT CHANGE THAT WORD TO

## BARRISTERS' REPORTING SERVICE

1 "AND" AFTER SWG.

2 CHAIRMAN LO: I'M SORRY. MY OWN, IF THIS  
3 STATES AS CO-CHAIRS, IS THAT THEY COME AND IT'S A  
4 TECHNICAL POINT THAT ALTA OR ANN OR ROB WOULD KNOW,  
5 I WOULD SAY AT LEAST TALK TO THEM JUST TO BE  
6 SUFFICIENTLY INCLUSIVE. YOU'VE HEARD ABOUT GOING TO  
7 STANDARDS, GENERAL STANDARDS OF PROCESS WOULD BE  
8 LOOKED AT TOGETHER. AGAIN, THOSE OF YOU PLEASE  
9 SUGGEST ANY WAYS TO IMPROVE THIS.

10 ALAN, DOES THIS SEEM REASONABLE TO YOU AS  
11 WELL? AND THEN WE HAVE PUBLIC COMMENTS.

12 DR. TROUNSON: I THINK IT SEEMS PERFECTLY  
13 REASONABLE. IT'S REALLY COMING TO US AS AN ISSUE OF  
14 DELIVERY OUR MISSION. AND IF WE SEE THERE IS A  
15 PROBLEM US GOING FORWARD WITH SOME OF THE GRANTS,  
16 WHETHER WE COULD ACCEPT A GRANT. SO CLEARLY IT'S  
17 VERY PRAGMATIC FROM OUR POINT OF VIEW WE NEED  
18 GUIDANCE TO HELP US, SO WE WOULD BE QUITE PREPARED  
19 TO BRING IT CASE BY CASE. I DON'T EXPECT IT WOULD  
20 BE FREQUENT, BUT IT WOULD BE REALLY DIFFICULT TO  
21 ALWAYS PREDICT EXACTLY WHAT THE UNDERLYING REASONS  
22 WERE. AND IT WOULD GIVE US A CHANCE TO EXPLORE  
23 THOSE, SO WE WOULD FIND OUT WHETHER THERE WERE  
24 SUITABLE CELL LINES AVAILABLE AND THEN WHAT'S THE  
25 PRIMARY IMPEDIMENT.

## BARRISTERS' REPORTING SERVICE

1 I HAVE TO SAY TO YOU IN THIS PARTICULAR  
2 CASE, IT'S A VERY PRIMARY IMPEDIMENT FOR, AS BOB  
3 SAYS, DELIVERY TO THE PATIENTS BECAUSE THE REAL  
4 DERIVATION WOULD BE EXTRAORDINARILY COSTLY AND  
5 TIME-CONSUMING.

6 DR. CSETE: AND I JUST WANT TO ALLAY THE  
7 FEARS THAT OBVIOUSLY THE FDA'S CONSIDERATION FOR  
8 SAFETY WILL HAVE A HIGHER BAR. THE MEDICAL HISTORY  
9 IS UNKNOWN FROM WHEN ONE BIOLOGIC COMPONENT OF THIS.  
10 AND SO I WOULDN'T BE SURPRISED AT ALL IF THEY, FOR  
11 EXAMPLE, SAY WE'LL TAKE THIS (UNINTELLIGIBLE).

12 DR. TAYLOR: THAT MAY BE HELPFUL, BUT I  
13 STILL -- YOU HAVE MAYBE MORE FAITH IN THE FDA THAN  
14 I, ONE. TWO, MORE FAITH IN PRIMARY NUCLEOTIDE  
15 SEQUENCE THAN I.

16 CHAIRMAN LO: BUT, AGAIN, CERTAINLY THIS  
17 IS SOMETHING THAT IS SCIENTIFICALLY --

18 DR. CSETE: SO THE NORMAL DISEASES FOR  
19 WHICH THERE WOULD BE GENETIC TESTING IN AN IVF  
20 CLINIC SETTING.

21 MS. CHARO: I'M UNDERSTANDING THAT THIS IS  
22 NOW KIND OF AMORPHOUS GUIDANCE. WE'RE NOT DRAFTING  
23 REGULATIONS, CORRECT?

24 CHAIRMAN LO: YES, THAT IS CORRECT.

25 MS. CHARO: THEN WITH DUE RESPECT TO THE

## BARRISTERS' REPORTING SERVICE

1 STAFF, (UNINTELLIGIBLE) ONE MORE THING THAT THEY  
2 MIGHT DO, AND THAT IS TO TRY AND KEEP A FAIRLY GOOD  
3 RUNNING TALLY OF THE LINES AND THE REASONING BECAUSE  
4 I KNOW IT WILL BE IN A PUBLIC SESSION, I KNOW IT  
5 WILL BE TRANSPARENT, BUT AS SOON AS THE NIH GOES  
6 BACK INTO THE BUSINESS OF FUNDING EMBRYONIC STEM  
7 CELL RESEARCH, THEY WILL BE FACED WITH PRECISELY  
8 THIS QUESTION. IT WILL NOW BE 2009 OR MAYBE 2010,  
9 AND THERE IS MORE FUNDING, AND THE FIRST ISSUE IS  
10 GOING TO BE, NOW THAT WE'VE GOT OUR RULES FOR NEW  
11 DERIVATIONS -- I TAKE IT BACK. THEY'RE NOT GOING TO  
12 FUND THE DERIVATIONS. BUT THEY'LL STILL HAVE TO  
13 DECIDE WHAT THE STANDARDS ARE FOR THE LINES THAT  
14 THEY'RE WILLING TO FUND FOR USABILITY. AND SO TO  
15 SOME EXTENT, THIS CIRM EXPERIENCE CAN ACTUALLY HAVE  
16 A DRAMATIC EFFECT.

17 CO-CHAIR LANSING: IT'S A GREAT POINT.  
18 IT'S A GREAT POINT.

19 DR. TROUNSON: SO WE'RE PICKING THAT UP,  
20 AS GEOFF SAID, IN THE INFORMATION THAT WE'VE BEEN  
21 COMPILING IN ASSOCIATION WITH THE BANKING GROUPS.

22 MS. CHARO: OH, THAT'S GOOD.

23 DR. TROUNSON: AND THEN WE'LL BE TALKING  
24 ABOUT INCLUDING WHETHER THEY QUALIFY FOR USE OF THE  
25 CALIFORNIA; SO RATHER THAN WE CREATE SOMETHING THAT

## BARRISTERS' REPORTING SERVICE

1 IS THERE, WE'VE GOT GEOFF WORKING ON UTILIZING THE  
2 INFORMATION THAT'S PRESENTLY THERE THAT WE CAN THEN  
3 TAKE IN-HOUSE AND ANSWER THOSE QUESTIONS VERY  
4 PRECISELY.

5 CHAIRMAN LO: IF I COULD JUST EXPAND ON  
6 ALTA'S COMMENT. I THINK IT WAS VERY HELPFUL. IT  
7 WOULD ALSO BE EXTREMELY USEFUL TO SCRO'S AT  
8 INDIVIDUAL INSTITUTIONS, AND I THINK THEY WOULD  
9 REALLY APPRECIATE SORT OF THE SYNOPSIS OF THE  
10 REASONING THAT CIRM STAFF AND ICOC WENT THROUGH  
11 BECAUSE I WOULD ENVISION THE SCRO SAYING, OH, THESE  
12 GUYS REALLY LOOKED AT IT. HERE'S THEIR REPORT AND  
13 THEIR REASONING. RATHER THAN US GOING THROUGH ALL  
14 OF THIS, LET'S DEFER TO THEIR JUDGMENT.

15 MS. CHARO: THERE IS AN ESCRO LIST SERVE  
16 THAT THE NATIONAL ACADEMIES RUNS WHERE THEY SHARE  
17 INFORMATION AROUND IT. THAT WOULD BE A PERFECT  
18 PLACE ON A LINE-BY-LINE BASIS AFTER THE ICOC HAS  
19 MADE ITS DETERMINATIONS TO POST THE SYNOPSIS TO  
20 SHARE AROUND THE COUNTRY WHAT'S GOING ON HERE. IT  
21 DOESN'T CONTROL WHAT THEY'LL DO, BUT IS INFORMATIVE  
22 FOR EVERYBODY TO KNOW WHAT'S GOING ON.

23 CHAIRMAN LO: I JUST WANT TO OFFER AN  
24 OPPORTUNITY FOR ANYBODY IN THE PUBLIC ALSO TO  
25 COMMENT ON THIS. FOR THE RECORD STATE YOUR NAME.



## BARRISTERS' REPORTING SERVICE

1 MR. REED: I'M DON REED, CALIFORNIANS FOR  
2 CURES. KNOWING THE STRESS THE TIME ON THE ICOC, I  
3 WONDER IF THIS SHOULDN'T BE A MATTER THAT'S SET UP  
4 IN POLICY RATHER THAN FOR AN INDIVIDUAL PETITION. I  
5 THINK THIS IS GOING TO BE NOT JUST THIS ONE. I  
6 THINK THERE WILL BE OTHER -- A LOT MORE LINES LIKE  
7 THIS TO BE CONSIDERED. I THINK ONCE YOU ESTABLISH  
8 THE STANDARDS, IT SHOULD BE HANDLED INTERNALLY. IF  
9 THE NEW LINE MEETS THE STANDARDS, THEN IT SHOULD GO  
10 FORWARD. BUT IF IT HAS TO BE INDIVIDUALLY  
11 CONSIDERED BY THE ICOC, AND THEN MAYBE A LOT OF  
12 THESE COME ON, THAT COULD BE A LOT OF TIME INVOLVED.

13 CHAIRMAN LO: ANY OTHER COMMENTS?

14 MR. SIMPSON: JOHN SIMPSON FROM CONSUMER  
15 WATCHDOG. I UNFORTUNATELY FIND MYSELF DISAGREEING  
16 WITH MY FRIEND DON REED. I DO THINK THAT GOING BACK  
17 RETROACTIVELY, YOU CAN'T SET STANDARDS RETROACTIVELY  
18 BECAUSE EACH SITUATION IS DIFFERENT. THIS SEEMS TO  
19 ME THE PERFECT MIX OF THE RECOGNITION THAT THERE MAY  
20 BE VALUE THAT ETHICAL STANDARDS EVOLVE OVER THE TIME  
21 AND GIVES YOU A PROCESS TO DEAL WITH THESE  
22 SITUATIONS, I THINK, IS A VERY GOOD THING. I GUESS  
23 IT WILL END UP BEING AN AMORPHOUS RECOMMENDATION TO  
24 STAFF TO COME UP WITH REGS, WHICH WOULD THEN  
25 PRESUMABLY BE THE NEXT ICOC, AND THAT TO ME MAKES

## BARRISTERS' REPORTING SERVICE

1 SENSE. THANK YOU.

2 CHAIRMAN LO: SO I THINK IT'S FAIR TO SAY  
3 THAT I'M TAKING AN INFORMAL VOTE.

4 CO-CHAIR LANSING: WHY DON'T YOU JUST DO A  
5 STRAW POLL.

6 CHAIRMAN LO: LET'S DO A STRAW POLL.  
7 GEOFF, YOU WANT TO WALK US THROUGH A STRAW POLL TO  
8 GET THE SENSE OF THE GROUP TO THE ICOC. I GUESS WHY  
9 DON'T WE GO ON THE WHOLE PACKAGE.

10 DR. LOMAX: I THINK WE'RE GETTING A SENSE  
11 OF THE COMMITTEE TO -- THE PRIMARY SENSE OF THE  
12 COMMITTEE IS A DIRECTION FOR STAFF TO DEVELOP  
13 REGULATORY LANGUAGE THAT WOULD -- SORRY -- THE STAFF  
14 WOULD DEVISE A REGULATORY CONCEPT TO BE BROUGHT TO  
15 THE ICOC FOR A GRANDFATHERING CONCEPT CONSISTENT  
16 WITH THE ITEMS ON THIS SLIDE, THAT THERE WOULD BE AN  
17 EVALUATION OF THE INFORMED CONSENT, AN EVALUATION OF  
18 THE REVIEW OF THE PROTOCOL THAT GOVERN THE  
19 PROCUREMENT OF THE MATERIALS THAT WENT INTO THE STEM  
20 CELL LINE, AND AN EVALUATION OR DOCUMENTATION OF  
21 COMPLIANCE WITH PREVAILING ETHICAL AND LEGAL  
22 STANDARDS IN PLACE AT THE TIME OF THE DERIVATION.

23 SO THE SENSE OF THE COMMITTEE --

24 MR. KLEIN: YOU'RE LOOKING AT BOTH SLIDES.

25 CHAIRMAN LO: PLUS THE SECOND SLIDE.

## BARRISTERS' REPORTING SERVICE

1 DR. LOMAX: YES. I THINK HERE THIS IS  
2 SORT OF THE PARAMETERS OF WHAT I WOULD ENVISION A  
3 REGULATION --

4 CHAIRMAN LO: THIS BEING SORT OF THE  
5 IMPLEMENTATION NOT POLICY -- THAT'S TOO STRONG A  
6 WORD -- BUT PROCEDURES THAT WE WOULD -- I'M NOT SURE  
7 HOW TO FRAME THIS IN TERMS -- THIS IS OUR SENSE OF  
8 WHAT WE THINK CIRM AND STAFF SHOULD DO.

9 DR. LOMAX: I THINK THE FIRST SLIDE  
10 REFLECTS THE HIGH LEVEL POLICY, WHAT THE BOUNDARIES  
11 ARE FOR AN ANALYSIS THAT NEEDS TO GO TO THE ICOC. I  
12 THINK THE SECOND SLIDE MAY SPEAK TO A SLIGHTLY MORE  
13 GRANULAR LEVEL OF DETAIL, WHICH WOULDN'T NECESSARILY  
14 APPEAR IN THE REGULATORY LANGUAGE, BUT WOULD BE A  
15 SUPPORTING SORT OF PROTOCOL THAT BACKS UP THAT  
16 REGULATORY LANGUAGE.

17 MR. KLEIN: THEY WOULD BE ADOPTING  
18 PROCEDURES CONCURRENT WITH THE ADOPTION OF THE  
19 REGULATORY LANGUAGE.

20 DR. LOMAX: CORRECT. PROCEDURES VERSUS  
21 THE ENABLING REGULATION.

22 CO-CHAIR LANSING: WOULD YOU SAY THAT  
23 AGAIN?

24 DR. LOMAX: WE WANT TO START WITH THE  
25 FIRST ONE?

## BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: WE'LL ADOPT BOTH TOGETHER  
2 BECAUSE JEFF RAISED THE POINT THAT STANDARDS,  
3 CRITERIA DON'T MAKE SENSE UNLESS WE UNDERSTAND THE  
4 PROCEDURE.

5 CO-CHAIR LANSING: ABSOLUTELY.

6 DR. LOMAX: SO THE STANDARD CRITERIA WOULD  
7 ENABLE AN ANALYSES WHERE STAFF -- THE DETERMINATION  
8 OF ACCEPTABLY DERIVED SHALL INCLUDE THE FOLLOWING  
9 PROCEDURES: CIRM RECEIVES DOCUMENTATION THAT THE  
10 ABOVE CRITERIA WERE SATISFIED IN THE PETITION TO USE  
11 THE HUMAN EMBRYONIC STEM CELL LINE FOR CIRM-FUNDED  
12 RESEARCH, CIRM STAFF IN CONSULTATION WITH THE  
13 CO-CHAIRS OF THE STANDARDS WORKING GROUP AND OTHER  
14 EXPERTS REVIEWS THE APPLICATION AND FORWARDS THE  
15 PETITION TO THE ICOC WITH A RECOMMENDATION FOR  
16 APPROVAL OR DISAPPROVAL, THE REVIEW OF THE  
17 APPLICATION SHOULD INCLUDE CONSIDERATION OF THE  
18 SCIENTIFIC SIGNIFICANCE OF THE STEM CELL LINE, AND  
19 THE ICOC WILL CONSIDER THE PETITION IN PUBLIC  
20 SESSION AFTER A PUBLIC POSTING OF THE PETITION.

21 CHAIRMAN LO: I'M JUST GOING TO WALK  
22 AROUND THE TABLE. DR. TAYLOR.

23 DR. TAYLOR: I'M IN FAVOR.

24 CHAIRMAN LO: DR. KIESSLING.

25 DR. KIESSLING: (INAUDIBLE.)

**BARRISTERS' REPORTING SERVICE**

1 CHAIRMAN LO: DR. PRIETO.

2 DR. PRIETO: I'M IN FAVOR.

3 CHAIRMAN LO: PROFESSOR CHARO.

4 MS. CHARO: YES. I'M IN FAVOR.

5 CHAIRMAN LO: IN FAVOR. SO THAT'S OUR  
6 SENSE, AND THEN, SHERRY AND BOB, IF YOU COULD TAKE,  
7 AND MARCY AND JEFF, IF YOU COULD TAKE THIS BACK TO  
8 THE ICOC. I KEEP FORGETTING --

9 (SIMULTANEOUS DISCUSSION.)

10 CHAIRMAN LO: I'M PLAYING A DUAL ROLE. I  
11 SUGGEST WE REWARD OURSELVES WITH A BREAK. LET'S  
12 TAKE ABOUT A 15-MINUTE BREAK AND COME BACK.

13 (A RECESS WAS TAKEN.)

14 CHAIRMAN LO: I'D LIKE TO TRY AND GET  
15 STARTED BECAUSE WE HAVE SOME OTHER IMPORTANT AND  
16 FASCINATING ISSUES TO DEAL WITH. WHAT I WOULD LIKE  
17 TO DO, JUST SO THOSE OF YOU WHO WOULD LIKE TO SEE  
18 YOUR DAY PLANNED OUT, BEFORE LUNCH I WOULD LIKE TO  
19 HAVE AN OVERVIEW OF THE NEXT TOPIC FROM GEOFF. AND  
20 WE HAVE THREE PANELISTS TO PROVIDE KIND OF  
21 BACKGROUND INFORMATION FROM THREE VERY DIFFERENT  
22 PERSPECTIVES, COMPLEMENTARY AND IMPORTANT  
23 PERSPECTIVES, FOR US.

24 AND THEN I'M GOING TO -- THIS WILL BE  
25 MOSTLY INFORMATION BEFORE LUNCH. THEN AFTER LUNCH I

## BARRISTERS' REPORTING SERVICE

1 WANT US TO BE ABLE TO DELIBERATE ON THIS QUESTION OF  
2 USING EITHER STEM CELL LINES ALREADY CREATED THAT  
3 INVOLVE GAMETES DONATED FOR IVF PURPOSES FOR WHOM  
4 THE GAMETE DONORS WERE PAID. AND THE SECOND ISSUE  
5 IS FOR EMBRYOS THAT A WOMAN IN IVF WISHES TO DONATE  
6 FOR STEM CELL RESEARCH, BUT ONE OF THE GAMETE DONORS  
7 WAS COMPENSATED. SO THIS IS DIFFERENT THAN THE  
8 ISSUE WE TOOK BEFORE THE BREAK, BUT, AGAIN, THIS HAS  
9 COME UP.

10 SO GEOFF HAS PREPARED A COUPLE OF SLIDES  
11 TO JUST HELP WITH THIS ISSUE, DEFINE THE ISSUE AND  
12 PUT IT IN CONTEXT, AND THEN WE HAVE THREE PEOPLE WHO  
13 HAVE COME TO -- TWO PEOPLE HAVE ACTUALLY COME AND  
14 ONE ON THE CELL PHONE TO PROVIDE SOME BACKGROUND  
15 INFORMATION. SO, GEOFF, WHY DON'T YOU START. I'M  
16 GOING TO ASK US TO JUST SORT OF MAINLY BE IN A  
17 LISTENING MODE HERE AND THEN SAVE OUR DELIBERATIONS  
18 TILL AFTER LUNCH. GEOFF.

19 DR. LOMAX: OKAY. THANK YOU. SO THIS --  
20 WE'RE NOW -- I'M GOING TO TRY TO PROVIDE A BRIEF  
21 BACKGROUND THAT'S CONSISTENT WITH THE BRIEFING MEMO  
22 TITLED "USE OF EMBRYOS CREATED FOR REPRODUCTIVE  
23 PURPOSES WITH PAID GAMETES." SO THIS SHOULD BE  
24 FAMILIAR BASED ON THIS MORNING'S DISCUSSION, BUT IT  
25 SORT OF SETS THE STAGE BECAUSE WE'RE DEALING WITH A

## BARRISTERS' REPORTING SERVICE

1 NUMBER OF SITUATIONS, ALL OF WHICH WE'D LIKE YOU TO  
2 AGAIN CONSIDER.

3 THE FIRST SITUATION WOULD BE AN EXISTING  
4 STEM CELL LINE THAT WAS CREATED FROM AN EMBRYO THAT  
5 WAS CONSTITUTED FROM ONE OR MORE PAID GAMETES. AND  
6 AGAIN, WHETHER APPLIED RETROACTIVELY OR NOT, BUT TO  
7 REMIND YOU THIS WOULD BE AN OUTSIDE LINE THAT WAS  
8 DERIVED FROM, LET'S JUST SAY, MASSACHUSETTS. THE  
9 ACCEPTABLY DERIVED STANDARD IN THE REGULATION WHICH  
10 GOVERNS WHETHER THAT STEM CELL LINE COULD OR COULD  
11 NOT BE USED BY A FUNDED RESEARCHER STIPULATES IN THE  
12 PROVISION THAT NO PAYMENT TO THE GAMETE DONORS COULD  
13 HAVE OCCURRED IN THE CREATION OF THAT EMBRYO.  
14 THEREFORE, THE ACTUAL LINE WOULD BE DISQUALIFIED FOR  
15 USE BY CIRM RESEARCHERS. SO IS THAT EXAMPLE CLEAR?  
16 MAKES SENSE?

17 SO THAT'S ONE SITUATION. THAT WAS  
18 QUESTION ONE, I BELIEVE, IN THE DOCUMENT THAT YOU  
19 WERE PROVIDED AS THE BACKGROUND DOCUMENT.

20 CHAIRMAN LO: GEOFF, IF I COULD JUST POINT  
21 OF CLARIFICATION. THESE ARE LINES THAT WERE DERIVED  
22 FROM EMBRYOS THAT WERE CREATED FOR IVF, NOT  
23 SPECIFICALLY FOR THE PURPOSE OF RESEARCH. SO THE  
24 WOMEN WHO DONATED OOCYTES RECEIVED COMPENSATION AS  
25 THEY OTHERWISE WOULD IN THE IVF PROCESS. THEY WERE

## BARRISTERS' REPORTING SERVICE

1 NOT, AS I UNDERSTAND IT, COMPENSATED TO THE SPECIFIC  
2 RESEARCH DONOR.

3 DR. LOMAX: THAT IS CORRECT. AND WE --  
4 WE'VE COINED THE TERM IVF-EMBRYO IN THE BRIEFING  
5 MATERIALS TO TRY TO CAPTURE THAT DETAIL.

6 CHAIRMAN LO: SO THIS WAS A MISS -- THIS  
7 WAS SAID IN THE PRESS. WE'RE NOT TALKING ABOUT  
8 PAYING WOMEN TO COME AND GO THROUGH OOCYTE RETRIEVAL  
9 SPECIFICALLY FOR RESEARCH PURPOSES. WE'RE TALKING  
10 ABOUT WOMEN WHO ARE GOING THROUGH IVF DONATION.

11 DR. LOMAX: CORRECT. SO THE SECOND  
12 EXAMPLE, THIS GETS AT QUESTION THREE, AS IT CONCERNS  
13 THE UTILIZATION OF EMBRYOS THAT CONTAIN PAID  
14 GAMETES. SO AN EXAMPLE HERE MIGHT BE AN EMBRYO  
15 BANK, AND WE'LL HEAR ABOUT EMBRYO BANKING IN A FEW  
16 MINUTES, COMES INTO RECEIVERSHIP OF AN EMBRYO, IS  
17 NOW SITTING IN A BANK. AND IN THE PROCESS OF THAT  
18 EMBRYO GOING INTO THE BANK, ONE OF THE INDICATIONS  
19 SUPPORTING THAT -- ON ONE OF THE DOCUMENTATIONS I  
20 HAVE AVAILABLE IS AN INDICATION THAT A PAID DONOR  
21 PROVIDED ONE OR MORE OF THE GAMETES FOR THAT EMBRYO.

22 THE QUESTION, AGAIN, IT TRIGGERS THE SAME  
23 PROVISION IN THE STANDARD. IT'S ACTUALLY NOT  
24 TECHNICALLY CORRECT TO SAY ACCEPTABLY DERIVED.  
25 THERE'S ANOTHER PIECE OF THE REGULATION THAT SAYS



## BARRISTERS' REPORTING SERVICE

1 EMBRYOS -- ESSENTIALLY GAMETES IN EMBRYOS USED IN  
2 CIRM-FUNDED RESEARCH SHOULD NOT -- THERE SHOULD BE  
3 NO PAYMENT TO ANYONE DONATING FOR THAT.

4 MR. KLEIN: AND, GEOFF, WE'RE NOT TALKING  
5 ABOUT OTHER COUNTRIES' BANKS BECAUSE THOSE ARE  
6 GRANDFATHERED UNDER ANOTHER PROCESS OR IN THE UNITED  
7 STATES.

8 DR. LOMAX: NOW WE'RE TALKING ABOUT A  
9 SITUATION SPECIFICALLY WHERE ONE OF OUR GRANTEES IS  
10 PROPOSING TO DO A STEM CELL LINE DERIVATION, AND THE  
11 SOURCE MATERIAL FOR THAT DERIVATION WOULD BE AN  
12 EMBRYO. UNDER THE CURRENT REGULATIONS ONLY EMBRYOS  
13 FOR WHICH NONE OF THE GAMETE DONORS HAVE BEEN PAID  
14 IS ELIGIBLE TO BE USED IN DERIVATIONS. AND THE  
15 QUESTION BEFORE YOU ALL IS SHOULD THEY BE ABLE TO  
16 UTILIZE EMBRYOS FOR WHICH ONE OR MORE GAMETE DONORS  
17 HAVE BEEN PAID IF THE EMBRYO IS ORIGINALLY CREATED  
18 FOR THE SOLE PURPOSE OF IVF FOR REPRODUCTIVE USE.

19 MR. SHEEHY: DO WE KNOW WHEN THAT EMBRYO  
20 WAS CREATED? IS THAT PRIOR TO OUR REGULATIONS?

21 DR. LOMAX: THAT'S --

22 CHAIRMAN LO: THAT'S THE NEXT SLIDE.

23 DR. LOMAX: I TRIED TO -- I'M NOT SURE  
24 THIS SLIDE DOES ACTUALLY CAPTURE IT, BERNIE. THAT'S  
25 ANOTHER -- YOU MAY WANT TO -- I MIGHT SUGGEST YOU

## BARRISTERS' REPORTING SERVICE

1 KIND OF SORT OF AGAIN ADDRESS THESE QUESTIONS  
2 STEPWISE BECAUSE YOU HAVE SORT OF ANOTHER FACTOR  
3 WHICH IS TIMING, AND I SORT OF LOOK TO YOU ALL TO  
4 SORT OF THINK THAT THROUGH. IT'S ANOTHER VARIABLE  
5 IN A FAIRLY COMPLEX CHAIN OF CIRCUMSTANCES. I THINK  
6 SOME OF THE PANEL -- WE'VE BEEN ASKING THE PANEL.  
7 WE MIGHT BE ABLE TO SORT OF GET AT SOME OF THOSE  
8 QUESTIONS AS WELL WITH TIMING AND HOW MUCH WE KNOW  
9 ABOUT THEM.

10 MR. KLEIN: THE DATES ARE THE ACTUAL  
11 ISSUE.

12 MR. SHEEHY: THE ONLY POINT IS THAT FOR  
13 PURPOSE OF OUR REGULATIONS, YOU KNOW, IF THEY WERE  
14 MADE BEFORE OUR REGULATIONS WERE IN PLACE, HOW WOULD  
15 ANYBODY KNOW? BUT IF IT'S POST OUR REGULATIONS,  
16 THEN PEOPLE WOULD BE (INAUDIBLE).

17 DR. LOMAX: AGAIN, I THINK IN TERMS OF THE  
18 PANEL WE'VE CONVENEED, THERE IS AN OPPORTUNITY TO  
19 HEAR A LITTLE BIT ABOUT HOW THAT IS ADDRESSED.

20 CHAIRMAN LO: IT'S AN IMPORTANT POINT.  
21 THAT'S ONE OF THE FEW THINGS WE NEED TO DISCUSS  
22 AFTER LUNCH. WHAT I WANT TO DO IS JUST LAY OUT FOR  
23 YOU, WHICH HAS BEEN HIGHLIGHTED IN THE FOURTH ONE,  
24 THE DATE AT WHICH THE EMBRYO WAS CREATED.

25 DR. TAYLOR: SO IS THE INTENT HERE TO LOOK

## BARRISTERS' REPORTING SERVICE

1 AT THIS AS AN EXCEPTION LIKE IN THE LAST DISCUSSION,  
2 OR IS THIS NOT VIEWED AS AN EXCEPTIONAL --

3 CHAIRMAN LO: RIGHT NOW WE'RE JUST TRYING  
4 TO LAY OUT SOME ISSUES FOR US TO CONSIDER. AND  
5 AFTER LUNCH WE NEED TO THINK THROUGH WHAT, IF  
6 ANYTHING, WE WISH TO DO TO MODIFY OUR CURRENT  
7 POLICY.

8 DR. LOMAX: THE SCOPE OF THESE ISSUES ARE  
9 A BIT BROADER THAN WHAT WE BROUGHT BEFORE YOU IN  
10 QUESTION ONE. AND SO WE HAD SORT OF A NARROW  
11 RECOMMENDATION. THIS IS AN ISSUE WHICH WE DON'T AT  
12 THIS TIME HAVE ANY FORMAL RECOMMENDATION. WE'RE  
13 TRYING TO FOLLOW THE SENSE OF THE COMMITTEE AND  
14 UNDERSTAND HOW TO MOVE FORWARD. SO THAT'S WHY WE  
15 TRIED TO SEPARATE IT.

16 SO I'M NOT SURE -- I'M WONDERING IF YOU  
17 THINK IT'S WORTH TRYING TO WALK THROUGH THIS SLIDE,  
18 OR DO YOU WANT THEN TO SORT OF BEGIN --

19 CHAIRMAN LO: MAYBE WE SHOULD TURN TO THE  
20 PANEL BECAUSE I THINK THEY HAVE A LOT OF  
21 IMPORTANT -- GO THROUGH -- YOU WANT TO GO THROUGH  
22 THIS SLIDE.

23 DR. LOMAX: WE'VE DONE THIS ONE. I JUST  
24 THOUGHT I'D GO BACK TO IT BECAUSE IT'S -- IT GIVES  
25 US SOMETHING TO LOOK AT. WE'VE DONE THIS ONE. THIS

## BARRISTERS' REPORTING SERVICE

1 IS THE EMBRYO EXAMPLE. NOW WE HAVE JEFF'S POINT TO  
2 BE SENSITIVE ABOUT TIMING AS WELL.

3 CHAIRMAN LO: SO DO YOU WANT TO, GEOFF,  
4 INTRODUCE THE PANEL. ANN, YOU HAD A QUESTION.

5 MR. KLEIN: IN INTRODUCING THE PANEL,  
6 COULD WE SEE HOW CLOSE SHERRY IS TO HER  
7 CONVERSATION? I THINK THIS PANEL IS VERY IMPORTANT.  
8 SO COULD SOMEONE JUST CHECK WHERE SHERRY IS?

9 CHAIRMAN LO: I THINK SHE HAD ANOTHER CALL  
10 SHE HAD TO TAKE AT 11:45.

11 MS. KING: RIGHT. IT WOULD BE STARTING IN  
12 ABOUT FIVE MINUTES.

13 DR. LOMAX: ONE MINUTE. WE'RE CHECKING ON  
14 HER.

15 (PAUSE IN PROCEEDINGS.)

16 MS. KING: DR. LO, IT'S GOING TO BE AT  
17 LEAST 15 OR 20 MINUTES.

18 CHAIRMAN LO: SO WHY DON'T YOU INTRODUCE  
19 ALL THREE PANELISTS FIRST, AND THEN WE'LL THEM GO IN  
20 ORDER.

21 DR. LOMAX: I THINK WE HAVE TWO PANELISTS  
22 AT THE MOMENT. SO FIRST, ONE OF THE -- SO WISHES OF  
23 THE COMMITTEE AT THE LAST MEETING WAS TO PROVIDE  
24 SORT OF MORE INSIGHT INTO THE ACTUAL PROCUREMENT  
25 PROCESS PARTICULARLY FOR EMBRYOS. SO WE CONTACTED

## BARRISTERS' REPORTING SERVICE

1 THE UCSF TISSUE BANK, AND DENISE BERNSTEIN IS GOING  
2 TO WALK US THROUGH THEIR PROCEDURES AND THEIR  
3 PROTOCOLS FOR OBTAINING MATERIALS THAT WOULD GO INTO  
4 THE BANK. AND THESE MATERIALS WOULD POTENTIALLY BE  
5 AVAILABLE FOR RESEARCH, PARTICULARLY DERIVATION  
6 RESEARCH.

7 WE ALSO INVITED DIANE MICHELSEN ALONG.  
8 SHE WORKS WITH INDIVIDUALS WHO ARE INVOLVED IN THE  
9 IVF PROCESS, AND SHE HAS SORT OF AN UNDERSTANDING OF  
10 SORT OF SOME OF THE ASPIRATIONS OF POTENTIAL DONORS,  
11 PARTICULARLY DONORS WHO MAY HAVE EMBRYOS THAT THEY  
12 WOULD LIKE TO GIVE TO RESEARCH, BUT BECAUSE OF THE  
13 REGULATIONS GOVERNING PAYMENTS AND OTHER  
14 RESTRICTIONS, SHE'S HAD FEEDBACK FROM DONORS AND  
15 BRINGS A LITTLE BIT OF A DONOR PERSPECTIVE IN TERMS  
16 OF HOW OUR REGULATIONS IMPACT SORT OF CALIFORNIANS.  
17 SO THOSE ARE TWO PERSPECTIVES.

18 WE HAVE SOME SCIENTISTS FROM STANFORD  
19 WHICH WE'RE TRYING TO GET ON THE PHONE, AND WE'VE  
20 ASKED THEM TO SORT OF EXPAND ON THE COMMENTS WHICH  
21 THEY'VE ALREADY PROVIDED IN WRITING, WHICH WERE SENT  
22 OUT TO YOU. AND THIS WILL DEAL MORE WITH THE  
23 SCIENTIFIC ASPECTS OF THE SCIENTIFIC UTILITY OF  
24 EMBRYOS. PARTICULARLY THIS QUESTION HAS COME UP  
25 ABOUT ARE THERE CHARACTERISTICS OF PAID IVF-EMBRYOS

## BARRISTERS' REPORTING SERVICE

1 THAT ARE SOMEWHAT DIFFERENT THAN THE OTHER 80 OR 75  
2 PERCENT OF EMBRYOS. SO IT'S SORT OF A SPECTRUM OF  
3 VIEWS FROM SORT OF THE DONOR, THE SCIENTISTS, AND,  
4 AGAIN, TO ADDRESS A NUMBER OF QUESTIONS THAT YOU ALL  
5 RAISED IN THE MEETING IN FEBRUARY THIS YEAR.

6 MS. BERNSTEIN: SO THANK YOU FOR THE  
7 INVITATION. THIS IS A GREAT OPPORTUNITY FOR ME TO  
8 INTRODUCE THE CONSENTING PROCESS FOR UCSF'S TISSUE  
9 BANK. WE DO HAVE SOME DOCUMENTS THAT WERE EITHER  
10 E-MAILED TO YOU OR COPIED IN THE BACK REGARDING THE  
11 BANK. I MAY MAKE REFERENCE TO THESE OCCASIONALLY.

12 THIS IS THE FIRST STEP IN EMBRYONIC  
13 RESEARCH. IT'S CONSENTING AND OBTAINING EMBRYOS.  
14 SO THE PURPOSE OF OUR BANK IS TO PROVIDE THE  
15 RESEARCHERS WITH THE TISSUES THEY NEED, PROVIDING  
16 THE MAXIMUM USEFULNESS OF TISSUES, AND MATCHING THE  
17 SPECIFIC TISSUE QUALITY TO THE RESEARCH QUESTION.

18 WE CURRENTLY ARE PROVIDING EMBRYOS AND  
19 EGGS. WE OFFER THE DONORS LEVELS OF RESEARCH SO  
20 THAT THEY'RE COMFORTABLE CHOOSING A TYPE OF RESEARCH  
21 THAT IS DONE WITH THEIR EMBRYOS AND EGGS. WE ALSO  
22 DEIDENTIFY THE SPECIMENS SO THAT THE SCIENTIFIC  
23 RESEARCHER DOES NOT KNOW WHO THE DONOR IS. THEY DO  
24 NOT EVEN KNOW WHICH PROGRAM THEY COME FROM.

25 WE COMPLY WITH ALL THE RULES AND

## BARRISTERS' REPORTING SERVICE

1 REGULATIONS OF THESE AGENCIES AND THE CIRM AT THE  
2 TOP, OF COURSE.

3 SO I WAS TALKING ABOUT LEVELS OF RESEARCH.  
4 I'LL EXPLAIN THIS AS I EXPLAIN THIS TO PATIENTS ON  
5 THE PHONE THAT ARE DONORS IN PERSON OR ON THE PHONE.  
6 LEVEL ONE RESEARCH IS A GENERAL KIND OF RESEARCH.  
7 AND WHAT THAT IS IS ALLOWING THE RESEARCHERS IN ANY  
8 WAY THEY POSSIBLY CAN TO EXAMINE THE EMBRYOS OR  
9 EGGS. THAT COULD MEAN STAINING, DISSECTION, GROWING  
10 OUT EMBRYOS FOR TWO TO THREE DAYS TO TRY TO BETTER  
11 UNDERSTAND WHAT WOULD MAKE THEM NORMAL OR ABNORMAL,  
12 AND THEY CAN EXAMINE GENETIC PATTERNS. NO STEM  
13 CELLS ARE GROWN FROM LEVEL ONE EMBRYOS OR LEVEL ONE  
14 RESEARCH.

15 LEVEL TWO RESEARCH IS STEM CELL RESEARCH.  
16 IT'S ALLOWING THE RESEARCHERS TO ATTEMPT TO GROW,  
17 AND HOPEFULLY SUCCESSFULLY GROW, THOSE SPECIALIZED  
18 CELLS THAT REPAIR DAMAGED TISSUES. AND THAT DOES  
19 NOT REPLACE AN ORGAN THAT CAN BECOME A CELL  
20 SUPPORTING TYPE SUCH AS A HEART, BRAIN, SPINAL CORD  
21 CELL. IT'S IMPORTANT TO NOTE THAT THE DONOR'S DNA  
22 IS CONTAINED WITHIN THE STEM CELLS, AND THAT THOSE  
23 CELLS COULD BE OUT THERE FOR YEARS TO COME.

24 LEVEL THREE RESEARCH IS A MORE  
25 MANIPULATIVE FORM OF RESEARCH, AND ITS GOAL IS TO

## BARRISTERS' REPORTING SERVICE

1 CREATE A STEM CELL THAT MATCHES A SPECIFIC PERSON AS  
2 WELL AS A SPECIFIC ORGAN TYPE TO HOPEFULLY AVOID THE  
3 NEED FOR ANTIREJECTION MEDICATIONS. IT INVOLVES  
4 MOVING DNA FROM ONE CELL TO ANOTHER AND THEN GROWING  
5 OUT THOSE STEM CELLS. THE SCIENTIFIC TERM BEING  
6 STEM CELL NUCLEAR TRANSFER. ANOTHER TERM FOR THAT  
7 IS THERAPEUTIC CLONING, NOT TO BE CONFUSED WITH  
8 REPRODUCTIVE CLONING. WE'RE NOT TRYING TO CREATE  
9 ANOTHER BEING, BUT WE ARE TRYING TO CREATE CELLS  
10 THAT MATCH A PARTICULAR BEING.

11 AND I DO HAVE SOME NUMBERS THAT I BROUGHT  
12 WITH ME REGARDING HOW MANY PEOPLE CHOOSE THESE  
13 DIFFERENT LEVELS OF RESEARCH AND ALSO THE EGG DONORS  
14 WHO WE CONSENT AND WHAT THEY SELECT.

15 SO WHO ARE THE DONORS? THERE ARE SEVEN  
16 DONOR GROUPS, AND, YES, SEVEN CONSENTING PACKETS FOR  
17 EACH OF THESE GROUPS. AND THE PERSON'S DNA  
18 CONTRIBUTION DIRECTS US TO THE CORRECT PACKET.

19 THERE ARE THREE GROUPS THAT DONATE FRESH TISSUES AND  
20 THERE ARE FOUR GROUPS THAT DONATE FROZEN TISSUES.

21 THOSE THAT DONATE FRESH TISSUES ARE FROM  
22 THE UCSF IVF PRACTICE, AND THAT WOULD BE YOUR  
23 STANDARD IVF PATIENT. UCSF EGG DONORS, KNOWN, WERE  
24 ANONYMOUS, PAID OR UNPAID, AND UCSF SPERM DONORS  
25 KNOWN, PAID, OR UNPAID. I JUST HAVE TO SAY THAT THE



## BARRISTERS' REPORTING SERVICE

1 SPERM DONOR GROUP, IT'S AN EXTREMELY RARE THAT WE  
2 GET A CONSENT FROM THEM. THE BANKS DO NOT GET THAT  
3 RESEARCH CLAUSE PUT IN THEIR CONTRACT, AND WE'VE  
4 NEVER HAD A DONATION FROM AN ANONYMOUS SPERM DONOR.

5 WE COLLECT CONSENTS FROM ALL DONORS THAT  
6 ARE IN THE PRACTICE WHETHER THEY'RE PAID OR UNPAID,  
7 BUT WE ONLY DISTRIBUTE MATERIALS OF UNPAID DONORS.  
8 WE DO NOT DISTRIBUTE THOSE FROM PAID DONORS.

9 THE NEXT GROUP ARE PEOPLE THAT DONATE  
10 FROZEN MATERIALS, AND IT'S PRIMARILY EMBRYOS. AND  
11 THESE ARE PEOPLE THAT NO LONGER NEED, NO LONGER WANT  
12 THEIR FROZEN TISSUES ANYMORE. IT'S PRIMARILY PEOPLE  
13 WHO HAVE COMPLETED THEIR FAMILIES. SOMETIMES IT'S  
14 DIVORCE. SOMETIMES IT'S DEATH. AND THE FROZEN  
15 GROUPS CONSIST OF THOSE WHO ARE DONATING FROZEN  
16 EMBRYOS, EGG DONORS WHO CONTRIBUTED TO THE CREATION  
17 OF FROZEN EMBRYOS, SPERM DONORS WHO CONTRIBUTED TO  
18 THE CREATION OF FROZEN EMBRYOS, AND DONORS OF FROZEN  
19 EGGS. THESE ARE USUALLY WOMEN WHO ARE GOING THROUGH  
20 CANCER TREATMENT AND HAVE CHOSEN TO FREEZE THEIR  
21 EGGS PRIOR TO CHEMOTHERAPY AND HOPING TO PRESERVE  
22 THEIR FUTURE FERTILITY.

23 SO THE CONSENTING OF THE FRESH TISSUE  
24 DONORS, AT UCSF THE PATIENTS PRIOR TO THEIR IVF  
25 CYCLE GET A LARGE NUMBER OF DOCUMENTS AND INCLUDE

## BARRISTERS' REPORTING SERVICE

1 MULTIPLE CONSENTS. ONE OF THOSE IS A GROUP OR OUR  
2 PACKET OF CONSENTS FOR TISSUE DONATION FOR THE  
3 TISSUE BANK. THIS IS FOR GENERAL IVF PATIENTS AND  
4 THE EGG DONORS.

5 PRIOR TO THEM STARTING TREATMENT, THEY SIT  
6 DOWN WITH A NURSE COORDINATOR OR RESEARCH  
7 COORDINATOR, AND THE LEVELS OF RESEARCH ARE  
8 DISCUSSED WITH THEM. THEY ARE OFFERED LEVELS ONE,  
9 TWO, AND THREE. THEY ARE ALSO OFFERED TO DECLINE.  
10 SO THERE'S A DECLINE BOX AND THEY CAN JUST CHECK  
11 THAT OFF. AND THEN THESE PARTIES PUT THE CONSENT  
12 FORM IN AN ENVELOPE, A SEALED ENVELOPE, AND IT COMES  
13 TO ME.

14 PRIOR TO THE IVF TREATMENT FOR THE ACTUAL  
15 RETRIEVAL, I MEET WITH THEM AND GO THROUGH A CIRM  
16 VERIFICATION FORM. THERE ARE SEVEN POINTS ON THAT  
17 FORM THAT WE MAKE SURE THEY UNDERSTAND, AND I HAVE A  
18 COPY OF THAT AS WELL. IT GIVES THEM THE OPPORTUNITY  
19 TO CLARIFY ANY QUESTIONS THEY MIGHT HAVE AND TO  
20 CHANGE THEIR MIND IF THEY WISH.

21 IN ORDER TO PREVENT CLINICAL BIAS,  
22 PHYSICIANS AND EMBRYOLOGISTS ARE NOT PERMITTED TO  
23 KNOW IF THEIR PATIENTS HAVE CONSENTED TO DONATE TO  
24 THE TISSUE BANK.

25 NOW, THE CONSENTING OF FROZEN TISSUE

## BARRISTERS' REPORTING SERVICE

1 DONORS, FIRST OF ALL, THOSE DONORS COME FROM MOSTLY  
2 OUTSIDE PROGRAMS THROUGHOUT THE UNITED STATES, AND  
3 THEY CONTACT US BY GETTING INFORMATION FROM THEIR  
4 IVF PROGRAM OR FROM THE INTERNET, BUT FROM THEIR IVF  
5 PROGRAMS PRIMARILY. THEY GET A BROCHURE WHICH SOME  
6 OF YOU HAVE COPIES OF, AND IT TELLS THEM HOW TO  
7 CONTACT US. WHEN THEY FIRST CALL ME, I ASK THEM  
8 ELIGIBILITY QUESTIONS. THE FIRST QUESTION BEING DID  
9 YOU USE DONOR GAMETES AND EXPLAINING WHAT THAT  
10 MEANS. AND I'LL GET INTO THAT A LITTLE BIT MORE  
11 LATER.

12 THE OTHER ISSUE IS WHAT STATE WERE YOU  
13 EMBRYOS CREATED. WE WILL NOT ACCEPT EMBRYOS FROM  
14 STATES THAT BAN EMBRYONIC RESEARCH.

15 I MAIL THE CONSENT PACKET OUT TO THEM AND  
16 ASK THAT BOTH PARTNERS READ THROUGH IT. AND THEN I  
17 HAVE TO SPEAK WITH THEM BY PHONE TO REVIEW THE  
18 CONSENT FORM PRIOR TO THEM MAILING IT BACK. WE DO  
19 NOT CONSIDER A RETURNED CONSENT BY PHONE ONLY VALID.  
20 I GO THROUGH THE LEVELS OF CONSENT, PERSONAL HEALTH  
21 INFORMATION CONSENT WHICH IS UNIQUE TO UNIVERSITY OF  
22 CALIFORNIA, REVIEW THE CIRM FORM. AND IF THEY HAVE  
23 TIME AND IF THEY'RE WILLING, I GO THROUGH A HEALTH  
24 QUESTIONNAIRE WITH EACH PARTNER.

25 AFTER THE CONSENTS ARE COMPLETE, I REVIEW

## BARRISTERS' REPORTING SERVICE

1 THEM TO MAKE SURE ALL THE LINES ARE FILLED IN,  
2 PRETTY COMPULSIVE ABOUT THAT, AND THEN I NOTIFY THE  
3 IVF PROGRAM AND MAKE ARRANGEMENTS FOR THOSE EMBRYOS  
4 TO BE SHIPPED TO UCSF. IF A KNOWN GAMETE DONOR WAS  
5 USED, IT'S THE RESPONSIBILITY OF THE RECIPIENT  
6 COUPLE TO CONTACT THAT DONOR AND ASK HER TO CONTACT  
7 ME. I CANNOT PURSUE THE DONOR. ONCE THE DONOR  
8 CONTACTS ME, I MAIL HER A PACKET AND I DO THE SAME  
9 THING WITH HER, REVIEWING THE FULL CONSENT, AND THEN  
10 SHE CAN MAIL IT BACK TO ME.

11 THE CONSENTING PROCESS IS A COSTLY  
12 ENDEAVOR. THERE'S ADMINISTRATIVE COST, SCIENTIFIC  
13 COST, STAFFING, PAPER, MAILING, SHIPPING, STORAGE,  
14 DATA MANAGEMENT, WHICH IS HUGE, AND DEIDENTIFYING.  
15 INDEPENDENT RESEARCH GRANTS COVER A MINIMUM OF A  
16 HUNDRED DOLLARS PER EMBRYO. THE IRONY IS THAT THE  
17 RESEARCH FUNDING THAT IS PROVIDED FOR THE STUDIES  
18 THAT REQUIRE THE USE OF THE EMBRYOS, BUT FUNDING HAS  
19 NOT BEEN MADE AVAILABLE TO SUPPORT THE SOURCE OF THE  
20 MATERIALS. AND AS I'VE INDICATED, THEY'RE  
21 EXPENSIVE.

22 FUTURE CONTACT, ALL DONORS ARE ASKED IF WE  
23 CAN CONTACT THEM AT SOME FUTURE POINT. IT COULD BE  
24 FIVE TO TEN YEARS FROM NOW. IT'S 2008 AND WE DON'T  
25 KNOW WHAT 2012 OR 2018 IS GOING TO BRING. SO THEY

## BARRISTERS' REPORTING SERVICE

1 CAN DECLINE OR THEY CAN AGREE. BOTH PARTIES NEED TO  
2 AGREE. THE TWO REASONS WE WOULD DO THAT IS IF A  
3 RESEARCHER FOUND SOME INFORMATION THAT COULD BE  
4 USEFUL TO THEM IN THEIR FUTURE HEALTHCARE DECISIONS  
5 OR FOR THEIR CHILDREN. OR IF STEM CELLS WERE  
6 CREATED AND THEY COULD BE USED THERAPEUTICALLY, WE  
7 ARE ASSUMING THAT THE FOOD AND DRUG ADMINISTRATION  
8 IS GOING TO ASK US TO CONTACT THESE DONORS AND ASK  
9 IF THERE'S BEEN ANY UPDATE IN THEIR HEALTH HISTORY.  
10 SO THEY GIVE US WHAT THEY WOULD ESTIMATE TO BE THEIR  
11 FUTURE CONTACT INFORMATION, AND IT'S USUALLY CELL  
12 PHONES AND E-MAILS, AND WE GO WITH THAT. WE ALSO  
13 ANTICIPATE THAT WE WOULD UTILIZE THE ETHICS  
14 COMMITTEE TO MAKE SURE THAT WE ARE INDEED PASSING  
15 ALONG USEFUL INFORMATION.

16 NOW, THERE ARE SOME ALTERNATIVES TO THE  
17 TISSUE BANK CONSENTS. I'M GOING TO START AT THE  
18 BOTTOM OF THE CONSENT FOR CRYOPRESERVATION. THAT IS  
19 A FORM THAT ALL IVF PROGRAMS USE PRIOR TO FREEZING  
20 EMBRYOS, AND THEY OFFER CERTAIN LIFE SITUATIONS AND  
21 THEN OPTIONS FOR DISPOSITION. THE LIFE SITUATIONS  
22 MIGHT BE IF THIS PARTNER DIES OR THAT PARTNER DIES,  
23 IF THERE'S A DIVORCE. IF THEY DONATED THE EMBRYOS  
24 AND NOBODY CAN FIND THEM IN, SAY, FIVE YEARS TO MAKE  
25 THE PAYMENT UNDER YEARLY PAYMENT, THEY CAN DETERMINE

## BARRISTERS' REPORTING SERVICE

1 WHERE THOSE EMBRYOS WOULD GO IN THOSE SITUATIONS,  
2 AND THAT COULD BE DONATION TO RESEARCH, DONATE TO  
3 ANOTHER COUPLE, DONATE TO THE OTHER PARTY, DISCARD.

4 ANOTHER GROUP WOULD BE FORTUNATELY A SMALL  
5 GROUP, BUT WE STILL HAVE HAD THEM, IS CONSENT VIA  
6 THEIR WILL. AND MOST PEOPLE DON'T THINK THAT FAR  
7 AHEAD WHEN THEY HAVE FROZEN EMBRYOS, BUT SOME PEOPLE  
8 WILL PUT INTO THEIR WILL WHAT THEY WOULD LIKE DONE  
9 WITH THESE FROZEN EMBRYOS. AND IF THAT OPTION IS  
10 RESEARCH, THEN WE CAN ACCEPT THAT WILL AS CONSENT.

11 MR. KLEIN: IF I CAN ASK YOU A QUESTION  
12 HERE, A LEGALISTIC QUESTION. THE WORD "ONLY"  
13 FOLLOWS THE WORD "RESEARCH" UNDER LEVEL ONE  
14 RESEARCH. SO THESE FORMS ARE LIMITED TO LEVEL ONE  
15 RESEARCH ONLY. THAT MEANS THAT THEY ONLY DISCUSS  
16 GENERAL MEDICAL RESEARCH. IT DOESN'T MEAN THAT THEY  
17 SAY -- THE FORM DOESN'T SAY THAT YOU CAN ONLY DO  
18 RESEARCH WHICH IS NOT SCNT AND NOT STEM CELL  
19 RESEARCH.

20 MS. BERNSTEIN: NO. IT DOESN'T CLARIFY IT  
21 ON THE FORMS. IT JUST -- IF THEY SAY RESEARCH AND  
22 THEY DON'T CLARIFY STEM CELL RESEARCH --

23 MR. KLEIN: I WAS ONLY TRYING TO ACUTELY  
24 GET TO THE FUNCTIONALITY OF THE MODIFIER.

25 MS. BERNSTEIN: YEAH. YEAH. MAYBE I

## BARRISTERS' REPORTING SERVICE

1 SHOULDN'T HAVE PUT MY ONLY IN ANOTHER SPOT.

2 WE CAN ACCEPT CONSENT FROM A GAMETE DONOR  
3 CONTRACT. WHEN SOMEBODY SIGNS WITH THEIR AGENCY,  
4 THEY SOMETIMES WILL SAY THAT THE RECIPIENT COUPLE  
5 CAN CHOOSE TO DONATE THOSE EMBRYOS TO RESEARCH. IF  
6 WE CAN GET A COPY OF THAT SIGNED CONSENT, THAT IS  
7 CONSIDERED CONSENT FOR TISSUE BANK RESEARCH. THE  
8 OTHER ONE IS CONSENT BY DISPOSITION FORM. AND THIS  
9 IS NOW UNIQUE TO ONLY TWO GROUPS, UC SAN FRANCISCO  
10 IVF PROGRAM AND A PRIVATE STORAGE FACILITY IN  
11 NORTHERN NEVADA. THEY HAVE THE OPTION OF KEEPING  
12 THE EMBRYOS IN STORAGE OR DONATING THEM TO ANOTHER  
13 COUPLE OR DONATING TO RESEARCH OR TO DISCARD. AND  
14 IF THEY DONATE TO RESEARCH, THEN THOSE CAN COME  
15 DIRECTLY TO US.

16 AGAIN, THE LIMITATION IS THAT IT'S FOR  
17 LEVEL ONE RESEARCH ONLY. AND ALSO THIS GROUP CANNOT  
18 HAVE FUTURE CONTACT.

19 THE ADVANTAGES TO THE TISSUE DONORS ARE  
20 THAT IT'S A SATISFYING OPTION. MOST PEOPLE WILL SAY  
21 WE'RE NOT JUST THROWING THEM AWAY. THE OTHER THING  
22 IS THAT THEY HAVE A DESIRE, STRONG DESIRE, TO HELP  
23 OTHERS. THE MEDIA HAS BEEN VERY HELPFUL IN MOST  
24 CASES OF ADVERTISING FOR STEM CELL RESEARCH AND HOW  
25 IT CAN HELP. SOMETIMES THEY'RE A LITTLE OPTIMISTIC,

## BARRISTERS' REPORTING SERVICE

1 BUT GENERALLY SPEAKING IT HELPS.

2           THEY'RE OFFERED THE LEVELS OF RESEARCH,  
3 AND THIS GIVES THEM THE OPTION TO CHOOSE A LEVEL  
4 THAT THEY'RE COMFORTABLE WITH AND THAT THEY HAVE  
5 CONTROL OVER THE DECISION ABOUT WHERE THEIR EMBRYOS  
6 ARE GOING. AND THEY ARE VERY GLAD TO HAVE THE  
7 SEPARATION OF THE FIREWALL, IF YOU WILL, FROM  
8 SEPARATING DONORS FROM THE RESEARCHERS.

9           THE ADVANTAGES TO THE RESEARCHERS ARE  
10 CONSISTENT WITH CONSENTING. AND THE COLLECTION OF  
11 TISSUES IS ONGOING, AND THEY DON'T HAVE TO START AND  
12 STOP THE RECRUITMENT WITH EACH PROTOCOL. IF THE  
13 STUDY STOPS IN APRIL AND THEY START A NEW PROTOCOL  
14 IN OCTOBER, THEY DON'T NEED TO RESTART THE  
15 RECRUITING PROCESS BECAUSE WE, AS THE TISSUE BANK,  
16 ARE JUST COLLECTING EMBRYOS ALL ALONG THE WAY.  
17 TISSUE BANK HANDLES ALL CONSENTING ISSUES,  
18 REGULATIONS, THE COMMITTEES, AND THE SCIENTIFIC  
19 RESEARCHERS, OF COURSE, HAVE TO DO SOME OF THIS ON  
20 THEIR OWN IN THEIR OWN PROTOCOLS, BUT THEY DON'T  
21 HAVE TO HANDLE ANY OF THAT CONSENTING.

22           AND IT DECREASES THEIR RECRUITMENT COSTS.  
23 STAFF AND TIME AND DOCUMENTS, AS I MENTIONED  
24 EARLIER, SOMEBODY HAS TO PICK THAT UP, AND THAT IS  
25 THE TISSUE BANK. WE DO NOT GET CIRM FUNDS.



## BARRISTERS' REPORTING SERVICE

1                   WHAT DISAPPOINTS OR ANGERS POTENTIAL  
2                   DONORS, I HAD TO PUT THIS SLIDE HERE BECAUSE OF MY  
3                   INTERACTIONS WITH THE DONORS. THE INDIVIDUAL STATE  
4                   LAWS BANNING RESEARCH, IT'S HARD TO TAKE. IT, I  
5                   BELIEVE, IS A CLASS FOUR FELONY IN LOUISIANA TO  
6                   DESTROY AN EMBRYO. THERE ARE SOME STATES THAT HAVE  
7                   PENDING LEGISLATION. UCSF DEPARTMENT OF LEGAL  
8                   AFFAIRS CHECKS THE LAWS IN EACH STATE, AND WE TELL  
9                   THE PERSON YES OR NO WE CAN OR CANNOT ACCEPT FROM  
10                  YOUR STATE. I DO HAVE A SHORT LIST OF WHICH STATES  
11                  ARE LEGAL AND WHICH ONES AREN'T.

12                  THE RISK IS TO THE DONOR AND TO THE  
13                  PHYSICIAN. UCSF HAS NO RISK IN ACCEPTING THESE  
14                  EMBRYOS, BUT WE DON'T WANT TO VIOLATE THE PATIENTS  
15                  IF WE VIOLATED, THEIR CONFIDENTIALITY BE VIOLATED IN  
16                  THE STATE THAT THEY LIVE IN.

17                  SOME OF THEM HAVE EVEN OFFERED TO SHIP  
18                  THEIR EMBRYOS TO ANOTHER STATE AND THEN ASK US TO  
19                  SHIP THEM IN FROM THAT STATE. AND THAT'S WHY WE ASK  
20                  THEM WHAT STATE WERE THEY CREATED BECAUSE THEY WILL  
21                  SHIP THEM TO TEXAS AND THEN TRY TO SHIP THEM TO US.  
22                  TEXAS IS A LEGAL STATE.

23                  NOW, OUR CONSENTING PROCESS IS TEDIOUS AND  
24                  IT'S DIFFICULT. AND WHEN YOU SEE THE SIZE OF OUR  
25                  PACKETS AND YOU KNOW THE NUMBER OF PHONE CALLS THAT

## BARRISTERS' REPORTING SERVICE

1 HAVE TO HAPPEN, YOU WILL SEE WHY. SOME PEOPLE WILL  
2 JUST SAY, YOU KNOW WHAT, IT'S JUST EASIER TO DISCARD  
3 AND WE WILL. THOSE WHO SAY IT'S EASIER TO DISCARD  
4 AND STILL DONATE, THOSE ARE PEOPLE THAT ARE  
5 MOTIVATED.

6 NOW, THE GAMETE DONOR RESTRICTIONS IS THE  
7 HOTTEST ISSUE THAT I DEAL WITH ON ANY GIVEN DAY. A  
8 RECIPIENT COUPLE WILL CALL ME, AND I HAVE TO ASK  
9 THEM CAN I GET CONSENT FROM THIS DONOR. IF I CANNOT  
10 GET CONSENT FROM THE DONOR, THEN IT'S ALL OVER. I  
11 HAVE TO TELL THEM I'M SORRY I CAN'T ACCEPT YOUR  
12 DONATION. THEY DON'T UNDERSTAND WHY THIS IS THE  
13 CASE WHEN THEIR DONOR CONTRACT STATES THAT I TURN  
14 OVER ALL RIGHTS TO THE RECIPIENT COUPLE. I ASSUME  
15 THAT DIANE CAN PROBABLY CLARIFY THIS, BUT ALL RIGHTS  
16 MEANS THAT THEY CAN DISPOSE OF THEM OR THEY CAN  
17 DONATE THEM TO ANOTHER COUPLE OR THEY CAN DO  
18 WHATEVER THEY WANT WITH THEM, BUT THEY CAN'T DO  
19 RESEARCH. AND SO I ALWAYS TELL THE AGENCIES WE'RE  
20 LOOKING FOR THE MAGIC WORD "RESEARCH" IN THE  
21 CONTRACT.

22 SO WE SHARE THIS DISAPPOINTMENT IN A BIG  
23 WAY. OUR CONCERN IS NOT ONLY THE NUMBER OF EMBRYOS  
24 IN THE BANK, BUT THE QUALITY OF THOSE EMBRYOS IN THE  
25 BANK. CERTAINLY ACCEPTING EMBRYOS FROM YOUNGER

## BARRISTERS' REPORTING SERVICE

1 DONORS IS PREFERABLE AND ENHANCES THE RESEARCH  
2 OUTCOMES.

3 SO IN CONCLUSION, THE DETAILED CONSENTING  
4 PROCESS IS VALUABLE TO THE RESEARCHERS AND THE  
5 DONORS. BY HAVING A CONSISTENT CONSENTING PROCESS,  
6 WE'RE MAKING CERTAIN THAT RESEARCHERS ARE RECEIVING  
7 TISSUES FROM DONORS WHO HAVE PROVIDED TRULY INFORMED  
8 CONSENT AND THAT SOCIETY WILL BENEFIT FROM THE WORK  
9 OF THIS RESEARCH TEAM, AND THIS TEAM IS THE TISSUE  
10 BANK AND THE SCIENTIFIC RESEARCHERS AND THE DONORS.  
11 SO I'M SURE I'VE RAISED MORE QUESTIONS THAN I HAVE  
12 ANSWERS, BUT I THANK YOU FOR YOUR TIME.

13 CHAIRMAN LO: OKAY. THANKS VERY MUCH.  
14 QUICK QUESTIONS. WE HAVE THREE PEOPLE ON THE LINE  
15 IN THE CLINIC WHO WANT TO MAKE A PRESENTATION. SO  
16 WE'LL HOLD THESE. WHY DON'T WE GET BACK TO THE  
17 QUESTIONS TO DENISE.

18 HELLO, PALO ALTO. ANYBODY THERE? NO ONE  
19 IS THERE. ALL RIGHT. ASK YOUR QUESTION, JOSE.

20 DR. CIBELLI: CAN I ASK YOU A QUESTION  
21 PLEASE?

22 MS. BERNSTEIN: YES. I'M SORRY.

23 DR. CIBELLI: HAVE YOU ATTEMPTED TO GET  
24 THOSE FORMS SIGNED FOR PEOPLE WHO HAVE DONATED  
25 OOCYTES FOR A SINGLE (INAUDIBLE)?

**BARRISTERS' REPORTING SERVICE**

1 MS. BERNSTEIN: YES.

2 DR. CIBELLI: HOW DIFFICULT, HOW EASY? DO  
3 PEOPLE DONATE JUST FOR (INAUDIBLE) REASONS?

4 MS. BERNSTEIN: FIRST OF ALL, THE PEOPLE  
5 THAT APPROACH ME WHO ARE DONATING FROZEN SPECIMENS  
6 ARE ALREADY MOTIVATED AND THEY'RE EASY TO GET  
7 CONSENT FROM. THE FRESH CYCLES ARE A LITTLE TOUGHER  
8 BECAUSE THESE PEOPLE ARE IN ACTIVE TREATMENT, AND  
9 THE MAIN TRUST ISSUES ARE THEY'RE OVERWHELMED. AND  
10 SO I THINK AT UCSF ABOUT 50 PERCENT OF PEOPLE WHO  
11 ARE GOING THROUGH ACTIVE TREATMENT CHOOSE TO DONATE  
12 TO RESEARCH. I DO HAVE NUMBERS, THOUGH, ON THE EGG  
13 DONORS THAT I APPROACH.

14 CHAIRMAN LO: I'M SORRY, DENISE. DID YOU  
15 TALK ABOUT 50 PERCENT OF WOMEN UNDERGOING TREATMENT  
16 AGREE TO BE DONORS, AND WHAT ARE THEY DONATING?

17 MS. BERNSTEIN: OH, TO DONATE TISSUE --

18 CHAIRMAN LO: TISSUE, BUT NOT --

19 MS. BERNSTEIN: -- TO THE TISSUE BANK. SO  
20 IT WOULD BE EGGS THAT ARE IMMATURE, EGGS THAT DON'T  
21 FERTILIZE, OR DON'T FERTILIZE NORMALLY OR ABNORMALLY  
22 GROWING EMBRYOS OR THOSE THAT CAN'T BE --

23 CHAIRMAN LO: NOT FRESH OOCYTES THAT ARE  
24 NORMAL MORPHOLOGY WHERE YOU SEND THEM TO SCNT.

25 MS. BERNSTEIN: I REALLY THINK OF US AS

## BARRISTERS' REPORTING SERVICE

1 HAVING TWO BANKS, SO THERE REALLY ARE TWO SEPARATE  
2 GROUPS.

3 DR. CIBELLI: THIS GOES BACK TO THE  
4 PREVIOUS DISCUSSION THAT WE HAD IN TERMS OF HOW WE  
5 SEE HOW HARD IT WILL BE TO GET OOCYTES DONATED FOR  
6 SCNT. OF THOSE YOU APPROACH, HOW MANY OF THOSE  
7 ACTUALLY DONATED GOOD QUALITY OOCYTES FOR SCNT?

8 MS. BERNSTEIN: GOOD QUALITY, I CAN'T TELL  
9 YOU THAT. MAYBE I'LL JUST GO THROUGH THIS GROUP OF  
10 NUMBERS.

11 THERE WERE 228 CYCLES THAT WERE DONATED TO  
12 US IN THE FROZEN BANK. SO THE FROZEN EMBRYOS. OF  
13 THOSE 107 WERE LEVEL ONE ONLY; BUT IF YOU PULL OFF  
14 THE 90 THAT CONSENTED BY DISPOSITION FORM ONLY, THAT  
15 LEAVES YOU WITH 17. THOSE THAT CONSENTED BY  
16 DISPOSITION FORM AUTOMATICALLY ARE LEVEL ONE.

17 LEVEL TWO RESEARCH, WHICH IS STEM CELL  
18 RESEARCH, IS 30. AND LEVEL ONE, TWO, THREE, ALL BUT  
19 STEM CELL NUCLEAR TRANSFER FINDING ONE. SO VAST  
20 MAJORITY GO THROUGH ALL THIS RESEARCH, ONE, TWO, AND  
21 THREE.

22 CHAIRMAN LO: DR. CIBELLI HAS A QUESTION.

23 DR. CIBELLI: (32:34 TRACK 3) MY QUESTION  
24 IS WHAT IS THE PRACTICAL TERMS AT UCSF FOR SOMEONE  
25 THAT WANTED FRESH, WELL, NOT FRESH, BUT GOOD QUALITY

## BARRISTERS' REPORTING SERVICE

1 OOCYTES EVEN THOUGH I COULD TAKE SOME FROZEN, I CAN  
2 USE THOSE TOO. HOW PRACTICAL IS THAT? IS IT  
3 PRECLUSIVE OR BOTH ARE TRYING TO DO THAT? AND  
4 BEFORE WE GO INTO TOO MUCH DETAIL IN THAT, I WOULD  
5 WANT TO KNOW IF IT'S FEASIBLE.

6 MS. BERNSTEIN: IT CAN HAPPEN ON THE  
7 FROZEN, YOU'D PROBABLY GET BETTER QUALITY SPECIMEN  
8 AND, YES, YOU CAN GET LEVEL THREE EMBRYOS. WE CAN  
9 FOLLOW ABOUT 30 TO 40 IN A WEEK. FRESH EMBRYOS THAT  
10 WE GET, WE'VE HAD --

11 DR. CIBELLI: YOU SAY EMBRYOS. I'M ASKING  
12 YOU ABOUT OOCYTES.

13 MS. BERNSTEIN: OOCYTES. I'M SORRY. WE  
14 HAVEN'T DONE THAT IN A WHILE.

15 CHAIRMAN LO: AGAIN, THIS IS NOT QUITE  
16 WHAT WE WANT TO TALK ABOUT, THOUGH, AN IMPORTANT  
17 TOPIC, BUT I THINK THE ANSWER IS THEY AREN'T.

18 DR. CIBELLI: SINCE WE HAVE HER HERE.

19 MS. BERNSTEIN: I DON'T HAVE THE  
20 STATISTICS IN FRONT OF ME ON OOCYTES. I HAVE THEM  
21 AS THEY RELATE TO THE DONORS, BUT I DON'T HAVE  
22 DISTRIBUTION. BUT I WOULD GUESS THAT WE GAVE OUT  
23 MAYBE 10 TO 12 OOCYTES PER WEEK WHEN WE WERE GIVING  
24 THOSE OUT. THEY WERE FRESH ONLY. THEY WERE NOT  
25 FROZEN. AND THEY WERE PRIMARILY M1'S THAT WERE NOT

## BARRISTERS' REPORTING SERVICE

1 MATURE ENOUGH. IF THAT'S WHAT YOU WERE LOOKING FOR.

2 DR. CIBELLI: IF YOU HAVE THE OPPORTUNITY  
3 (INAUDIBLE).

4 MS. BERNSTEIN: I MIGHT ACTUALLY RECOMMEND  
5 THAT OUR REPRODUCTIVE BIOLOGIST DO THAT.

6 MR. KLEIN: JUST TO CLARIFY, YOU SAY THEY  
7 WERE M1S THAT WERE IMMATURE.

8 MS. BERNSTEIN: YEAH.

9 MR. KLEIN: SO THEY'RE NOT -- THEY'RE NOT  
10 THE HIGH QUALITY DESIGNEE FOR SCNT?

11 CHAIRMAN LO: SO I WANT TO MAKE SURE WE  
12 DON'T -- BECAUSE THE ISSUE WE WANTED TO SORT OF  
13 ADDRESS FOR THE CIRM LEADERSHIP WAS REALLY THE  
14 EXISTING STEM CELL LINES AND EMBRYOS TO BE USED FOR  
15 THE DERIVATION OF NEW LINES. SO I WANT MAKE SURE WE  
16 GET TO THOSE BECAUSE THE OOCYTE DONATIONS ARE A  
17 WHOLE DIFFERENT ISSUE AND AN IMPORTANT ONE, BUT I  
18 THINK NOT WHAT I UNDERSTOOD WE WANTED TO DO TODAY.

19 NOW, WE HAVE ANOTHER STANFORD. DENISE,  
20 CAN WE -- I'M SORRY. DIANE, CAN WE TURN TO YOU AND  
21 HAVE YOU TALK.

22 MS. MICHELSEN: SURE. THANKS FOR HAVING  
23 ME. MY NAME IS DIANE MICHELSEN. I AM AN ATTORNEY  
24 AND MY PRACTICE IS IN LAFAYETTE, AND AS PART OF THIS  
25 PRACTICE, WE HAVE A DONATION PROGRAM, AND SURROGACY

## BARRISTERS' REPORTING SERVICE

1 DONATION PROGRAMS. WE WRITE CONTRACTS FOR PEOPLE  
2 DEALING WITH ASSISTED REPRODUCTION, AND WE REVIEW  
3 CONTRACTS FOR PEOPLE THAT ARE INVOLVED IN ASSISTED  
4 REPRODUCTION. AND THAT COULD BE EMBRYO DONATION, IT  
5 COULD BE OVUM DONATION, IT COULD BE SPERM DONATION,  
6 IT COULD BE REDONATION, ANY OF THAT.

7 SO I SPEAK WITH A LOT OF PEOPLE FROM  
8 ACROSS THE UNITED STATES AND CANADA. IN TERMS OF  
9 CREDENTIALS, I HAVE BEEN PRESIDENT OF THE AMERICAN  
10 ACADEMY OF ADOPTION ATTORNEYS, AND I HAVE BEEN HEAD  
11 OF THEIR ASSISTED REPRODUCTION COMMITTEE, AND I HAVE  
12 BEEN PRESIDENT OF THE ACADEMY OF HEALTH AND  
13 ADOPTION. SO I'VE BEEN ACTIVE IN THE FIELD FOR OVER  
14 25 YEARS, AND I'VE BEEN WATCHING YOU ALL.

15 ONE OF THE WAYS I GOT INVOLVED WITH THIS  
16 IS WHEN IT FIRST CAME TO MY ATTENTION THAT DONATIONS  
17 FROM PAID GAMETE DONORS INVALIDATED THE POSSIBILITY  
18 OF RESEARCH. I STARTED REALIZING THAT ALL OF THE  
19 DISPENSATIONS THAT WE HAD BEEN PUTTING IN CONTRACTS  
20 FOR PAID GAMETE DONORS WERE GOING TO BE NOT ABLE TO  
21 BE FOLLOWED. AND I WAS KIND OF CONCERNED ON BEHALF  
22 OF MY CLIENTS, AND THAT IS REALLY HOW I GOT IN TOUCH  
23 WITH GEOFF.

24 THERE ARE AN AMAZING NUMBER OF PEOPLE WHO  
25 CREATE EMBRYOS; AND THEN WHEN THEIR EMBRYOS ARE --



## BARRISTERS' REPORTING SERVICE

1 THEY HAVE COMPLETED THEIR FAMILY AND THEIR EMBRYOS  
2 HAVE BEEN FROZEN FOR A PERIOD OF YEARS, AND THEY  
3 DECIDE THEN THAT THEY WOULD LIKE TO DO SOMETHING  
4 WITH THIS BECAUSE THEY WOULD LIKE TO REALLY HELP  
5 OTHER PEOPLE WHO HAVE FERTILITY PROBLEMS. AND IF  
6 THEY CAN GO FOR OTHER TYPES OF RESEARCH, THEY'RE ALL  
7 VERY DELIGHTED TO DO THAT. IT COSTS THEM A LOT OF  
8 MONEY TO CREATE THE EMBRYOS, AND THEY WOULD LIKE NOT  
9 TO JUST THAW THEM AND TOSS THEM.

10 SO MANY OF THESE PEOPLE, I WOULD SAY MOST  
11 OF THESE PEOPLE ARE NOT INVOLVED IN DONATING EMBRYOS  
12 TO ANOTHER COUPLE. THEY DO NOT WANT A FULL SIBLING  
13 OF THEIR CHILD TO BE RAISED BY ANOTHER COUPLE WHERE  
14 THEY HAVE NO CONTACT OR NO CONTROL. AND SO THE  
15 RESEARCH IS THEIR DESIRED OUTCOME.

16 I SEE PROBABLY, I WOULD SAY, 90 PERCENT OF  
17 THE CONTRACTS WE EITHER CREATE OR REVIEW HAVE  
18 DONATIONS FOR RESEARCH, AND THEY'RE DONE WITH PAID  
19 DONORS. THE CHARACTERISTICS OF THE PAID DONORS, AND  
20 THIS IS WHY PEOPLE I'M WORKING WITH TEND USE THE  
21 PAID DONORS, IS THEY'RE YOUNGER. THEY'RE USUALLY 18  
22 TO 34. THEY HAVE A VERY CLEAN HEALTH HISTORY, AND  
23 PART OF THAT IS THEY ARE YOUNGER. BUT THEIR FAMILY  
24 HEALTH HISTORY TENDS TO BE PRETTY CLEAN, AT LEAST  
25 THE REPORTED FAMILY HEALTH HISTORY TENDS TO BE

## BARRISTERS' REPORTING SERVICE

1 CLEAN. THEY'RE BODY MASS INDEX IS USUALLY LESS THAN  
2 26. AND THEN ALL OF THE ASRM GUIDELINES ARE  
3 FOLLOWED IN TERMS OF TATTOOS AND ACUPUNCTURE AND  
4 BLOOD DONATIONS AND SUCH.

5 THE VAST MAJORITY OF THESE DONORS ARE  
6 ANONYMOUS TO THE RECIPIENTS. THAT IS NOT TO SAY  
7 THAT THE PROGRAM DOESN'T HAVE INFORMATION AS TO  
8 WHO'S WHO. BUT AT THE MOMENT THERE IS NO ABSOLUTE  
9 REGISTRY, SO THE ABILITY TO GO BACK AND CONTACT  
10 DONORS IS NOT AS REALLY COMPLETE BECAUSE WHAT  
11 HAPPENS IS SOME OF THE PROGRAMS, THEY GO OUT OF  
12 BUSINESS AND THEIR RECORDS ARE GONE.

13 THE CONTRACTS WE CREATE GENERALLY HAVE A  
14 CLAUSE THAT SAYS THE DONOR IS DONATING SPECIFICALLY  
15 TO MR. AND MRS. XYZ, AND OFTEN WE'LL WRITE THESE  
16 ANONYMOUSLY, NOT MY PREFERENCE, BY THE WAY, AND  
17 THEY'RE DONATING THEM FOR THEIR OWN USE. AND THEY  
18 ARE ALSO ALLOWING ANY UNUSED DONATIONS TO BE  
19 UTILIZED FOR RESEARCH PURPOSES. THEY ARE NOT DOING  
20 A BLANKET DONATION THAT ALLOWS MR. AND MRS. XYZ TO  
21 DONATE TO ANOTHER COUPLE.

22 AND THERE IS INDEED A STATUTE IN  
23 CALIFORNIA THAT SAYS DONORS NEED TO KNOW WHAT THE  
24 DISPOSITION OF THEIR GENETIC MATERIAL IS. SO IT'S  
25 SOMETHING I INTERPRET A LITTLE MORE TIGHTLY THAN

**BARRISTERS' REPORTING SERVICE**

1 SOME OF THE OTHER PROGRAMS. SOME OF THE PROGRAMS  
2 BELIEVE IF THE DONORS SIGN A BLANKET DONATION  
3 PERMISSION SLIP, THAT ANYTHING CAN HAPPEN. I DON'T.  
4 I DON'T THINK THAT'S THE APPROPRIATE INTERPRETATION  
5 AND WOULD RATHER ERR ON THE SIDE OF CAUTION.

6 SO --

7 MR. KLEIN: COULD WE GET A CLARIFICATION  
8 ABOUT WHAT SHE JUST SAID.

9 MS. MICHELSEN: YES.

10 MR. KLEIN: SO IF THEY SIGN THIS DONATION,  
11 YOUR INTERPRETATION --

12 MS. MICHELSEN: SIGNED ADMISSION.

13 MR. KLEIN: IN CALIFORNIA THERE'S A LAW  
14 THAT SAYS THAT SOMEONE WHO DONATES HAS TO KNOW WHAT  
15 THE ULTIMATE DISPOSITION IS?

16 MS. MICHELSEN: THEY HAVE TO BE DONATING  
17 FOR A PARTICULAR PURPOSE.

18 MR. KLEIN: AND THE FORMS THAT YOU USE  
19 INCLUDE RESEARCH OR DO NOT?

20 MS. MICHELSEN: TYPICALLY THEY DO.

21 MR. KLEIN: THEY INCLUDE --

22 MS. MICHELSEN: BUT THAT'S PART OF MY  
23 DONOR CONTRACT, THAT THE DONOR ALLOW RESEARCH.

24 MR. KLEIN: OKAY. THANK YOU.

25 MS. LANSING: BUT NOT THE SPECIFIC KIND OF

## BARRISTERS' REPORTING SERVICE

1 RESEARCH. THAT'S AN IMPORTANT DISTINCTION.

2 MS. MICHELSEN: YOU HAD ASKED ABOUT GOOD  
3 QUALITY EMBRYOS OR GOOD QUALITY EGGS IS REALLY WHAT  
4 YOU ASKED. LET ME JUST TALK ABOUT GOOD QUALITY  
5 EMBRYOS AND THEN GO BACK TO EGGS JUST FOR A BRIEF  
6 MOMENT.

7 TYPICALLY WHAT WILL HAPPEN IS FRESH  
8 EMBRYOS OF THE BEST QUALITY ARE THOSE THAT ARE USED  
9 FIRST, AND THEN THE NEXT ARE FROZEN FOR SECONDARY  
10 USE. WHAT IS HAPPENING WITH MANY OF THE IVF  
11 PROGRAMS NOW IS THEY ARE JUST FREEZING. THEY'RE NOT  
12 BRINGING IT OUT FRESH. AND PART OF THAT IS FOR  
13 CYCLE ADJUSTMENT. BUT MANY OF THE PROGRAMS THAT  
14 I'VE BEEN TALKING TO HAVE NOT SEEN STATISTICS THAT  
15 MANDATE THAT THE EMBRYOS HAD TO BE FRESH.

16 SO WHAT I'M SEEING IS MORE PEOPLE HAVE  
17 FROZEN EMBRYOS RATHER THAN FRESH, AND THAT MAY HAVE  
18 IMPLICATIONS FOR RESEARCH. ALMOST ALWAYS PEOPLE  
19 WILL NOT DONATE FRESH EMBRYOS BECAUSE THEY DO NOT  
20 KNOW IF THEIR FAMILIES ARE GOING TO BE COMPLETED OR  
21 IF THE PREGNANCY WILL TAKE. AND IF THE EMBRYOS ARE  
22 OF ANY GOOD QUALITY AT ALL, THEY WANT TO PRESERVE  
23 THE ABILITY TO REINSERT THEM.

24 IT'S VERY SIMILAR ON FRESH EGGS BECAUSE  
25 THEY MAY HARVEST 20 EGGS, AND THEY'RE ALMOST ALWAYS

## BARRISTERS' REPORTING SERVICE

1 GOING TO WANT TO FERTILIZE ALL OF THE EGGS TO SEE  
2 WHAT GRADE EMBRYOS COME OUT. TWENTY EGGS, YOU MAY  
3 GET OUT OF THAT FOUR EXCELLENT EMBRYOS, YOU MAY GET  
4 NONE, YOU MAY GET 20. BUT ALMOST EVERY PROGRAM  
5 WE'VE WORKED WITH, AND I CAN SAY EVERY PROGRAM I'VE  
6 WORKED WITH, FERTILIZES ALL OF THE EGGS THAT ARE ANY  
7 DECENT QUALITY. SO THAT KIND OF MAKES YOUR  
8 QUESTION, BUT THAT'S PROBABLY NOT GOING TO HAPPEN.

9 THAT'S MY COMMENTS. AND I'M OPEN FOR ANY  
10 QUESTIONS.

11 CHAIRMAN LO: QUESTIONS FOR EITHER DENISE  
12 OR DIANE?

13 MR. KLEIN: SO LET ME ASK THIS QUESTION.  
14 YOU'RE SAYING THAT IN CALIFORNIA A SPERM DONOR WOULD  
15 BE COVERED BY THE LAW YOU CITED AS WELL?

16 MS. MICHELSEN: YES.

17 MR. KLEIN: SO YOU HAVE TO HAVE A RESEARCH  
18 AUTHORIZATION IN THE FORM.

19 MS. MICHELSEN: YES.

20 MR. KLEIN: NOW, IS THAT TRUE IN  
21 MASSACHUSETTS?

22 MS. LANSING: WISCONSIN.

23 MS. MICHELSEN: I CAN'T SPEAK TO THAT. I  
24 DON'T KNOW.

25 MS. LANSING: DOES SOMEBODY KNOW THE

## BARRISTERS' REPORTING SERVICE

1 ANSWER TO THAT? WE'VE BEEN TALKING ABOUT THIS  
2 BECAUSE THAT'S THE REAL ISSUE, ISN'T IT? I MEAN THE  
3 SPERM DONOR. AM I WRONG?

4 MR. KLEIN: MOST FREQUENTLY.

5 MS. LANSING: MOST FREQUENTLY. I'M JUST  
6 ASKING BECAUSE IT -- AM I WRONG -- BECAUSE IT SEEMS  
7 LIKE IN CALIFORNIA WE'RE NOT GOING TO HAVE THAT  
8 PROBLEM, BUT IF WE WANT TO USE OTHER LINES -- MY  
9 REAL QUESTION IS, TO COME BACK TO THIS, NO ONE CAN  
10 SEEM TO ANSWER WHAT THE POOL IS WHERE YOU HAD A  
11 CONSENT TO RESEARCH FROM BOTH THE SPERM AND THE EGG  
12 DONOR. WHAT'S THAT POOL? IS THAT POOL BIG ENOUGH?  
13 AND THEN, B, FOR OUT-OF-STATE, WHAT HAPPENS IN  
14 WISCONSIN OR PLACES WHERE WE COULD HAVE SOME VERY  
15 GOOD LINES?

16 MR. KLEIN: MAYBE WE CAN ASK ANN. WE'RE  
17 CONFERRING -- SHERRY AND I BOTH ARE CONFERRING IN  
18 SOME OF THIS DISCUSSION, THAT IN MASSACHUSETTS WE'RE  
19 REALLY TALKING ABOUT THERE IS RESEARCH ON OOCYTES,  
20 BUT THE PROBLEM IS THERE IS CONSENT ON OOCYTES. BUT  
21 IF -- TO THE EXTENT THAT THERE'S CONSENT ISSUES, IS  
22 THE CONSENT ISSUE GENERALLY WITH THE SPERM DONOR?

23 DR. KIESSLING: YOU KNOW, I REALLY -- I  
24 THINK SO. IT'S MY IMPRESSION THAT SPERM DONORS DO  
25 NOT VERY OFTEN SIGN A RESEARCH FORM. BUT YOU'RE

## BARRISTERS' REPORTING SERVICE

1 SAYING THAT IN CALIFORNIA SOMEBODY GOING INTO  
2 DONATING SPERM FOR FERTILITY AT A SPERM BANK, HE'S  
3 GOING TO BE PAID, WHAT, \$250 OR \$300. HE SIGNS A  
4 FORM THAT SAYS THAT THE EMBRYO MAY BE USED FOR  
5 RESEARCH PURPOSES?

6 MS. MICHELSEN: I CAN'T ABSOLUTELY SAY ONE  
7 WAY OR ANOTHER. I DON'T KNOW. I WORK MOSTLY WITH  
8 EGGS. I VERY RARELY WILL WORK WITH SPERM FROM SPERM  
9 BANKS.

10 MR. KLEIN: BUT THE POINT, ANN, IS THAT  
11 SHE INDICATED THERE WAS A STATUTE IN CALIFORNIA THAT  
12 CONCERNS THE BIOLOGICAL MATERIAL. AND IF THE  
13 STATUTE COVERS SPERM, THEN WE CAN ONLY MAKE A  
14 DECISION WHERE THE SPERM DONOR HAS GIVEN CONSENT.

15 DR. KIESSLING: IS THAT --

16 MS. MICHELSEN: YOU NEED A COPY OF THAT  
17 STATUTE.

18 MS. LANSING: YES.

19 MS. MICHELSEN: AND WHEN WE BREAK FOR  
20 LUNCH, I WILL SEE IF I CAN GET ONE.

21 MS. LANSING: IT SEEMS TO ME THAT WHAT  
22 WE'RE TALKING ABOUT IS, UNLESS I'M READING THIS  
23 WRONG, IF SOMEBODY IS GIVING YOU CONSENT, AN EGG AND  
24 A SPERM DONOR, TO USE IT FOR RESEARCH, WE'RE ALL  
25 SAYING THEY DON'T WANT TO SPECIFY WHAT THAT RESEARCH

## BARRISTERS' REPORTING SERVICE

1 IS, THAT WE WOULDN'T DO THAT. NOW THE QUESTION IS  
2 YOU ONLY HAVE THE EGG DONOR GIVING YOU CONSENT, AND  
3 SO HOW DO YOU TRACK BACK TO THE SPERM DONOR  
4 ESPECIALLY IF YOU CAN'T TRACK IT? AND ARE WE  
5 HURTING OURSELVES?

6 CHAIRMAN LO: THE OTHER ISSUE MEMBERS  
7 WANTED TO TALK ABOUT THIS SESSION IS THE PAYMENT  
8 ISSUE. SO THE OTHER ISSUE IS THE OOCYTE DONORS.  
9 EXCEPT IN A RARE CASE WHERE IT'S A RELATIVE OR CLOSE  
10 FRIEND ARE PAID THE STANDARD AMOUNT FOR OOCYTE  
11 RETRIEVAL FOR IVF, AND THAT WHAT WE NOW HAVE IS  
12 AFTER THE WOMAN GOES THROUGH RETRIEVAL, THE COUPLE  
13 OR WOMAN IN IVF GOES THROUGH THEIR FERTILITY  
14 TREATMENT AND DECIDE THAT THEY'VE COMPLETED THEIR  
15 FAMILY, THEY DON'T WANT TO DONATE THEM TO ANOTHER  
16 COUPLE FOR REPRODUCTION, RATHER THAN DISCARD THEM,  
17 THEY WOULD LIKE TO DONATE TO RESEARCH. BUT THE  
18 QUESTION OF THE PAYMENT ISSUE, CONSENT IS ONE  
19 PROBLEM OF PAYMENT. IT'S ANOTHER PROBLEM  
20 DISQUALIFYING THAT EMBRYO OR A LINE THAT'S ALREADY  
21 DERIVED FROM SUCH AN EMBRYO.

22 MS. LANSING: I MEAN I WAS THE MOST  
23 CONSERVATIVE ON THIS, AND I'VE COME FULL CIRCLE, NOT  
24 FULL CIRCLE, BUT I'VE COME TO (INAUDIBLE). BECAUSE,  
25 YOU KNOW, WHAT, DID WE START TWO YEARS AGO? IT'S



## BARRISTERS' REPORTING SERVICE

1 TWO YEARS LATER, AND ONE IS ENTITLED TO REEVALUATE  
2 AND QUESTION AS THE SCIENCE MOVES AHEAD. AND IT  
3 JUST SEEMS TO ME THAT TO HOLD OUR RESEARCH TO A  
4 HIGHER STANDARD THAN ANY OTHER RESEARCH THAT IS  
5 DONE, YOU KNOW, IN THE UNITED STATES SEEMS TO ME  
6 DISCRIMINATION AGAINST THE STEM CELL RESEARCH, WHICH  
7 I DON'T THINK IS CORRECT.

8 CHAIRMAN LO: DENISE WANTED TO SAY  
9 SOMETHING, AND THEN WE HAVE A LOT OF QUESTIONS.

10 MS. BERNSTEIN: I WAS JUST GOING TO SAY  
11 WHEN THE DONOR SIGNS THEIR CONTRACT, THAT THEY DO  
12 WANT RESEARCH SUCH AS AN EGG DONOR OR A SPERM DONOR,  
13 WE DO NEED TO RECEIVE A COPY OF THAT CONTRACT AS THE  
14 CONSENT, AND THE SPERM BANKS WILL NOT RELEASE THAT.  
15 THEY STILL WANT TO KEEP THE ANONYMITY OF THE DONOR,  
16 SO HAVING THEM SIGN A CONSENT SAYING THAT IT'S OKAY  
17 TO DO RESEARCH IS NOT REALLY USEFUL AT ALL. WE  
18 CAN'T ACCEPT THAT.

19 MS. MICHELSEN: CAN YOU ACCEPT IT IF THE  
20 NAMES ARE REDACTED AND THE BANK SWEARS UNDER PENALTY  
21 OF PERJURY THAT THIS IS A TRUE COPY OF THEIR RELEASE  
22 AND THAT TAKES US TO DONOR 8235?

23 DR. TAYLOR: THERE'S ZERO FINANCIAL  
24 INCENTIVE FOR THEM TO PROVIDE THAT.

25 MS. MICHELSEN: YES.

## BARRISTERS' REPORTING SERVICE

1 MS. BERNSTEIN: WE HAVE HAD ZERO SUCCESS  
2 WORKING WITH THE SPERM BANK.

3 MR. KLEIN: THIS IS A VERY IMPORTANT POINT  
4 THAT DENISE JUST MADE, SHERRY. EVEN THOUGH THE  
5 SPERM BANK, THEY GET THE RESEARCH FORMS SIGNED,  
6 BECAUSE OF THE REQUIREMENT FOR ANONYMITY, THE SPERM  
7 BANK CAN'T GIVE THAT FORM TO UCSF. SO IT DOESN'T DO  
8 THEM ANY GOOD BECAUSE THEY CAN'T DELIVER THAT  
9 CONSENT FORM. SO IT MAY BE SITTING THERE WITH  
10 CONSENT, BUT THEY CAN'T EVEN GET THE CONSENT OVER TO  
11 UCSF SO THEY CAN USE THE LINE.

12 CHAIRMAN LO: DIANE, YOU HAD SOMETHING.

13 MS. MICHELSEN: THIS IS JUST A PIECE OF  
14 CLARIFICATION THAT WOULD BE REALLY HELPFUL TO ME.  
15 THE PURPOSE OF YOUR REGULATIONS ABOUT NOT ACCEPTING  
16 EMBRYOS IF THERE WAS A PAYMENT TO THE GAMETE DONOR  
17 IS WHAT?

18 MR. KLEIN: THE PURPOSE IS NOT TO INDUCE  
19 THE GAMETE DONOR TO SELL EGGS FOR RESEARCH.

20 MS. LANSING: AND I DON'T THINK WE WANT TO  
21 CHANGE THAT. IN OTHER WORDS, SOMEONE WHO IS  
22 SPECIFICALLY COMING IN TO DONATE -- I FEEL VERY  
23 STRONGLY ABOUT THIS. IT'S IN OUR STATUTE. WE CAN'T  
24 CHANGE IT -- SOMEONE SPECIFICALLY COMING IN TO  
25 DONATE THEIR EGGS FOR STEM CELL RESEARCH, PERIOD,

## BARRISTERS' REPORTING SERVICE

1 THERE IS NO PAYMENT.

2 MS. MICHELSEN: THEREIN IS PERHAPS THE  
3 CRUX THAT YOU CAN WORK WITH, SPECIFICALLY GETTING  
4 PAID FOR RESEARCH PURPOSES, BECAUSE THE DONORS THAT  
5 I'M TALKING ABOUT, THEY'RE NOT GETTING PAID AT ALL  
6 FOR RESEARCH PURPOSES. IT HAS NOTHING TO DO --

7 MS. LANSING: THAT'S CORRECT.

8 MS. MICHELSEN: MAYBE THIS --

9 MS. LANSING: THAT'S WHY I CHANGED MY  
10 OPINION.

11 MS. MICHELSEN: MAYBE THE STATUTE IS  
12 ALREADY BROAD THE WAY IT'S WRITTEN, AND IT COULD BE  
13 REWRITTEN TO PROTECT THAT WHICH YOU WANT PROTECTED.

14 MS. LANSING: YOU ARE GIVING US -- WE HAVE  
15 TO GET EVERYBODY'S OPINION, BUT WE STARTED TWO YEARS  
16 AGO WITH THE MOST CONSERVATIVE. AND SO WE STARTED  
17 AT ONE POINT, AND NOW WE'RE JUST MOVING A LITTLE BIT  
18 AS WE ARE BECOMING MORE EDUCATED. AND YOU'RE QUITE  
19 RIGHT. THEY WERE PAID FOR IVF AND THAT'S IT, AND  
20 THEY CHECKED A BOX THAT SAID RESEARCH. AND SO FOR  
21 US NOT TO BE ABLE TO USE IT IN AN EQUAL OPPORTUNITY  
22 RESEARCH WAY I THINK IS PUNITIVE TO US.

23 CHAIRMAN LO: A NUMBER OF PEOPLE.  
24 FRANCISCO HAS BEEN VERY PATIENT AND ALTA AND WHO  
25 ELSE, BOB.

**BARRISTERS' REPORTING SERVICE**

1 DR. PRIETO: MY FEELING ON PAYMENT LIKE  
2 SHERRY IS ALL PUT -- CONSENT SEEMS PRETTY BASIC, AND  
3 I GUESS I REALLY DON'T -- YOU KNOW, BOB HAS PUT THAT  
4 THE CONSENT FORM CAN'T BE TRANSFERRED OVER. I DON'T  
5 REALLY UNDERSTAND WHY THAT WOULD BE UNDER THE TERMS  
6 THAT YOU LAID OUT WITH THE NAMES REDACTED AND SO ON.  
7 I MEAN IT DOESN'T SEEM TO ME THAT SPERM DONORS ARE  
8 THAT HARD TO RECRUIT, AND IT'S HARD FOR ME TO  
9 BELIEVE THAT IN 2008 THE STANDARD IS, NOT KNOWING  
10 THAT THIS RESEARCH IS OUT THERE, TO GET SOME SORT OF  
11 CONSENT THAT YOUR GENETIC MATERIAL MAY BE USED FOR  
12 RESEARCH. HOW HARD IS THAT?

13 MR. KLEIN: THE ISSUE IS THE CONSENT FORM  
14 WHEN SIGNED HAS THE NAME.

15 DR. PRIETO: SO YOU REDACT THE NAME, YOU  
16 CODE IT SO THAT YOU ANONYMIZE IT, YOU ISOLATE THE  
17 RESEARCHER FROM THAT INFORMATION.

18 MR. KLEIN: MAYBE WE SHOULD JUST ASK WHAT  
19 WOULD BE PRACTICAL BECAUSE WHAT MAY BE PRACTICAL IS  
20 THAT THE SPERM BANK PROVIDES JUST AN ACKNOWLEDGEMENT  
21 THAT THIS MATERIAL CAN BE USED FOR RESEARCH.

22 DR. PRIETO: SURE. AND UNDER PENALTY OF  
23 PERJURY WE OBTAINED THE APPROPRIATE CONSENTS.

24 MR. KLEIN: DOES THAT WORK, DENISE?

25 DR. PRIETO: BUT THAT SHOULD BE THE

## BARRISTERS' REPORTING SERVICE

1 STANDARD.

2 MS. BERNSTEIN: I'D HAVE TO LOOK BACK AT  
3 THAT. IT WOULD CERTAINLY BE DIFFERENT FROM WHAT WE  
4 DID FOR THE EGG DONORS, AND IT WOULD BE PUTTING  
5 ANOTHER PARTY IN THE CONSENTING PROCESS. IN OTHER  
6 WORDS, THE SPERM BANK WOULD BE SAYING, NO, WE SWEAR  
7 THIS IS THIS PERSON.

8 MR. KLEIN: AND I THINK THE POINT WAS MADE  
9 BY DR. TAYLOR, THEY DON'T HAVE ANY ECONOMIC  
10 INCENTIVE TO SWEAR UNDER PENALTY OF PERJURY AND THEN  
11 GET SUED.

12 DR. PRIETO: BUT THEY DON'T HAVE A  
13 DISINCENTIVE.

14 MR. KLEIN: BECAUSE SOMEONE CHANGED THEIR  
15 MIND OR SOMETHING. WHAT WE ARE -- ARE WE CORRECTLY  
16 UNDERSTANDING THAT THE OOCYTE CONSENTS ARE FAIRLY  
17 STRAIGHTFORWARD? THE REAL PROBLEM HERE IS THE SPERM  
18 CONSENTS?

19 MS. BERNSTEIN: THE OOCYTES ARE NOT  
20 TOTALLY CLEAR BECAUSE THE ONES WHO CONSENT AT UCSF  
21 SIGN THEIR REAL NAME, THEY CHOOSE THE LEVEL OF  
22 RESEARCH THEY'RE COMFORTABLE WITH, AND THEY'RE VERY,  
23 VERY CLEAN. WE EVEN FIND OUT IF THEY ARE PAID OR  
24 UNPAID. BUT IF SOMEBODY CALLS ME FROM NEW YORK AND  
25 HAS AN ANONYMOUS GAMETE DONOR AND THEY HAVE NO WAY

## BARRISTERS' REPORTING SERVICE

1 OF FINDING THEM AND THE PROGRAM IS NOT GOING TO TELL  
2 US WHO THEY ARE, THEN THE WHOLE PROCESS STOPS RIGHT  
3 THERE. AND THAT'S A COMMON OCCURRENCE.

4 MS. MICHELSEN: SO THOSE ARE PEOPLE THAT  
5 WANT TO DONATE THEIR EMBRYOS WHICH ARE --

6 DR. PRIETO: THESE ARE ALL RELATIVE.

7 MR. KLEIN: DID DR. CSETE WANT TO MAKE A  
8 COMMENT? I MEAN IS THERE A GROUP WITHIN THIS LARGER  
9 GROUP WHERE WE HAVE AN EASIER ISSUE WITH THE  
10 OOCYTES?

11 DR. CSETE: I'M JUST GOING TO MAKE IT MORE  
12 COMPLICATED. I JUST WANT EVERYBODY TO KEEP IN THE  
13 BACK OF THEIR MIND THAT THERE ARE POTENTIALLY  
14 INHERENTLY COERCIBLE POPULATIONS WHO CAN'T AFFORD  
15 IVF, AND THE CONSENT PROCESS FOR -- HAS TO BE VERY  
16 CAREFULLY DONE TO NOT MAKE THE DONATION FOR RESEARCH  
17 COERCIVE.

18 MR. KLEIN: THAT'S A SEPARATE ISSUE HERE  
19 BEFORE US. THESE PEOPLE ARE IVF AND THEY'RE NOT  
20 CONSIDERED RESEARCH.

21 DR. CSETE: HAS TO BE CLEANLY SEPARATED.

22 CHAIRMAN LO: A LOT OF PEOPLE ARE TRYING  
23 TO GET IN ON THIS. ALTA, FRANCISCO, ROB, JEFF  
24 SHEEHY. ALTA. AND THEN THE OTHER CONSTRAINT WE  
25 HAVE, WE LOST THE STANFORD PARTY, BUT WE PROMISED

## BARRISTERS' REPORTING SERVICE

1 PAT BECKER THAT WE'RE GOING TO ADJOURN FOR LUNCH AT  
2 12:45, WHICH IS ABOUT 20 MINUTES.

3 MS. CHARO: I'M GOING TO RETURN TO THE  
4 ISSUE OF PAYMENT FOR A MOMENT BECAUSE I THOUGHT WE  
5 HAD KIND OF DISCUSSED CONSENT BEFORE THE BREAK. I  
6 FIND IT HELPFUL IN THESE DISCUSSIONS TO GO BACK TO  
7 THE ORIGINAL PURPOSE OF THE RULES IN ORDER TO  
8 EXAMINE THEM WHETHER THE VARIATIONS MAKE SENSE.

9 SO AS I UNDERSTAND IT, THE ORIGINAL REASON  
10 THAT WE AGREED TO PROHIBIT PAYMENT BEYOND THE  
11 REIMBURSEMENT OF OUT-OF-POCKET EXPENSES WAS TO ALLAY  
12 ANY FEAR, JUSTIFIED OR UNJUSTIFIED, THAT THE OFFER  
13 OF PAYMENT WOULD INDUCE WOMEN TO UNDERGO AN  
14 OVULATION PROCEDURE THAT THEY MIGHT OTHERWISE NOT  
15 HAVE UNDERGONE. AND SO THE IDEA WAS NOT TO  
16 ENCOURAGE OR INCENTIVIZE IN ANY WAY ANY ADDITIONAL  
17 RISK.

18 WHEN I LOOK AT THE USE OF EMBRYOS OR LINES  
19 FROM EMBRYOS THAT WERE MADE WITH EGG DONORS WHO  
20 PARTICIPATED SOLELY FOR REPRODUCTIVE PURPOSES, THEY  
21 MAY OR MAY NOT HAVE BEEN PAID. USUALLY THEY ARE.  
22 THEY TOOK ON CERTAIN RISKS IN EXCHANGE FOR PAYMENT  
23 IN A REPRODUCTIVE CONTEXT. OUR USE OF THOSE EMBRYOS  
24 OR THOSE LINES AT THIS POINT I CAN'T SEE WOULD  
25 EITHER INCREASE THE RISK TO THOSE WOMEN BECAUSE THEY

## BARRISTERS' REPORTING SERVICE

1 ARE NO LONGER PRESENTED WITH ANY MEDICAL  
2 INTERVENTIONS, NOR DO I REALISTICALLY THINK IT  
3 INCENTIVIZES WOMEN TO PARTICIPATE IN REPRODUCTIVE  
4 DONATIONS THAT THEY MIGHT OTHERWISE HAVE AVOIDED.  
5 RIGHT?

6 SO IN THIS SENSE I FIND THAT THE EXTENSION  
7 OF THE PROHIBITION ON PAID GAMETES TO THE USE OF  
8 EMBRYOS THAT WERE MADE ORIGINALLY IN A REPRODUCTIVE  
9 CONTEXT DOESN'T NECESSARILY FULFILL THE ORIGINAL  
10 PURPOSE OF CONTRIBUTING PAYMENT. I WILL SAY, THAT  
11 SAID, I WILL ACKNOWLEDGE THAT THERE'S IRONY HERE  
12 BECAUSE, IF ANYTHING, THE PAYMENTS IN THE  
13 REPRODUCTIVE CONTEXT ARE THE ONES THAT UPSET PEOPLE  
14 THE MOST AND WHERE THE AMOUNTS ARE UNREGULATED AND  
15 WITH LITTLE OR NO OVERSIGHT, AND WHERE THERE ARE  
16 CONCERNS ABOUT UNDUE INDUCEMENT ARE PROBABLY MOST  
17 SIGNIFICANT RATHER THAN THE RESEARCH CONTEXT. BUT  
18 WE DON'T GET TO REGULATE REPRODUCTIVE PRACTICES IN  
19 IVF CLINICS, SO WE DON'T GET A CHANCE TO REACH OUT  
20 AND SAY YOU SHOULDN'T PAY THEM THERE. MAYBE YOU  
21 SHOULD BE PAYING THEM IN THE RESEARCH CONTEXT.

22 SO WITHIN THE LIMITED JURISDICTION THAT WE  
23 HAVE, I DON'T SEE THE PROHIBITIONS, THE MOST  
24 CONSERVATIVE EXTENSIONS OF THE PROHIBITIONS,  
25 ACTUALLY SERVES THE UNDERLYING PURPOSE FOR WHICH THE



## BARRISTERS' REPORTING SERVICE

1 RULE THAT NONPAYMENT WAS WRITTEN.

2 CHAIRMAN LO: ROB.

3 DR. TAYLOR: ACTUALLY I WAS GOING TO -- I  
4 REALLY LIKE YOUR COMMENTS, ALTA, ON THAT POINT. I  
5 AGREE COMPLETELY.

6 I WANT TO COME BACK TO THE SPERM CONSENT  
7 ISSUE JUST TO MAKE THE COMMENT THAT THERE ARE  
8 PROBABLY ABOUT FIVE CRYOBANKS AROUND THE COUNTRY  
9 THAT I WOULD GUESSTIMATE ARE RESPONSIBLE FOR AT  
10 LEAST 70 PERCENT OF THE DONATED SPERM CYCLES. AND  
11 WHILE -- SO IT MAY BE -- YOU KNOW, ONE STRATEGY  
12 MIGHT BE TO TRY TO GET TO THOSE BANKS AND TRY TO  
13 MAKE THIS DONATION PROCESS ACTUALLY WORK FROM THAT  
14 LEVEL. SO IT'S VERY DIFFERENT, AND THE REASON THE  
15 OOCYTE DONATION AND SPERM DONATION ARE SO DIFFERENT  
16 IN THIS REGARD IS THAT OOCYTE DONATION ESSENTIALLY  
17 HAS TO BE DONE FRESH, AND IT'S BEEN REGULATED BY  
18 SORT OF LOCAL INDIVIDUALS WHO SORT OF RECRUIT AND  
19 DEVELOP THAT PROCESS. IT'S NOT CONSOLIDATED LIKE  
20 SOME OF THESE CRYOBANKS.

21 SO MAYBE IF WE WANTED TO GO AFTER THE  
22 SPERM ISSUE, CALIFORNIA CRYOBANK, FAIRFAX CRYOBANK,  
23 NEW ENGLAND CENTER, CHECK OFF A LIST TO KIND OF GO  
24 AFTER AND FIGURE OUT IS THERE A MECHANISM THAT WE  
25 CAN SORT OF DEVELOP SOMETHING THAT WOULD INCENT THEM

## BARRISTERS' REPORTING SERVICE

1 TO ACTUALLY WANT TO PARTICIPATE AND GET THE  
2 INFORMATION TO THE INVESTIGATORS.

3 MS. BERNSTEIN: I THINK IT WOULD HAVE TO  
4 HAPPEN AT THIS LEVEL, AT YOUR LEVEL.

5 DR. TAYLOR: YEAH. MAYBE IT CAN BE DONE  
6 AT THE STATUTORY LEVEL; BUT AT THE VERY LEAST, I  
7 WOULD THINK WE'D ONLY HAVE TO MAKE FIVE OR SIX PHONE  
8 CALLS TO GET THE MAJORITY OF THE ACTION.

9 CHAIRMAN LO: JEFF.

10 MR. SHEEHY: I DO HAVE A QUESTION, BUT I  
11 DO WANT TO COMMENT. I MEAN I STILL GO BACK TO THE  
12 ORIGINAL POINT OF NOT USING -- IN THE PREVIOUS  
13 DISCUSSION, EVEN IF YOU GET CONSENT, DOES THAT MEAN  
14 THAT PERSON IS GOING TO BE WILLING TO BE CONTACTED  
15 FOR A FULL MEDICAL HISTORY?

16 DR. TAYLOR: DOWN THE LINE.

17 MR. SHEEHY: SO I JUST -- YOU KNOW, IT  
18 DOESN'T SEEM LIKE THE SPERM DONATION PRESENTS A  
19 GREAT DEAL -- THAT THERE'S A LOT WORKING ON THE  
20 CREATION OF THE EMBRYOS AND I MAY BE WRONG.

21 MS. MICHELSEN: THERE'S CERTAINLY LESS  
22 SPERM DONATION INVOLVED IN CREATION OF EMBRYOS  
23 BECAUSE THE SUCCESS IN IVF GENERALLY IS CONNECTED TO  
24 THE AGE OF THE EGG, MUCH LESS SO WITH THE AGE OF THE  
25 SPERM. SO SOMEONE AGES OUT PRETTY QUICKLY AS A

## BARRISTERS' REPORTING SERVICE

1 WOMAN. AS A MAN AND A COUPLE, ASSUMING YOU HAVE A  
2 HETEROSEXUAL COUPLE, NOT NEARLY AS SIGNIFICANT.

3 MR. SHEEHY: SO IF 50 PERCENT DONATE AN  
4 EGG, THEN I WOULD SUSPECT THAT THE NUMBER WHO HAVE  
5 DONATED SPERM -- WE'RE NOT TALKING ABOUT --

6 THE SECOND POINT I WANTED TO GET TO WAS IF  
7 WE DID GRANDFATHER THESE IN, I MEAN IF WE DID DECIDE  
8 THAT THIS WAS OKAY, I MEAN I GET WHAT YOU'RE SAYING.  
9 SO ONE CAN IMAGINE A SCENARIO WHERE IT MIGHT  
10 BE -- SOMEONE MIGHT CONSIDER IT VALUABLE TO CREATE  
11 AN EMBRYO JUST FOR RESEARCH, YOU KNOW. OR YOU CAN  
12 THINK OF SOMEONE WHO HAD A CONDITION, AND YOU COULD  
13 SAY, YOU KNOW, A FAMILY HISTORY OR SOMETHING, AND  
14 ACTUALLY -- ONCE YOU START PAYING, YOU KNOW, I JUST  
15 WORRY ABOUT IF WE START TO DRAW THAT LINE AT A  
16 TIME -- YOU KNOW, THERE ARE GENETIC CHARACTERISTICS  
17 THAT MAY INDUCE PEOPLE TO -- YOU KNOW, WE'RE NOT  
18 TALKING ABOUT A WELL-REGULATED INDUSTRY WHERE  
19 SOMEONE COULDN'T GO AND CREATE AN EMBRYO WITH A PAID  
20 DONOR WITH THE THOUGHT IN THEIR MIND THAT THEY'RE  
21 GOING TO -- THEY DON'T REALLY WANT TO DO  
22 REPRODUCTION. THEY WANT IT TO JUST DONATE THIS FOR  
23 RESEARCH.

24 I MEAN WE CAN IMAGINE SCENARIOS, EVEN YOU,  
25 KNOW, YOU HAD A CONDITION OF ALZHEIMER'S, YOU HAD A

## BARRISTERS' REPORTING SERVICE

1 HISTORY OF ALZHEIMER'S, YOU WANTED TO CREATE A  
2 DISEASE MODEL.

3 MS. CHARO: OF COURSE, ANY SET OF RULES,  
4 ANY SET OF RULES, THERE ARE THOSE PEOPLE THAT ARE  
5 DELIBERATELY GOING TO TRY TO CIRCUMVENT THEM. SO  
6 THAT, THEN, JUST GETS TO THE QUESTION OF HOW YOU  
7 WANT TO WRITE THE RULES. YOU WANT TO WRITE THEM AS  
8 A GENERAL SET OF RULES FOR THE WAY YOU THINK THE  
9 SYSTEM OUGHT TO BE, OR YOU'RE WRITING THEM  
10 DEFENSIVELY AGAINST EVERY POSSIBLE VIOLATION OF  
11 SOCIAL LEGAL NORMS.

12 AND THAT IS A VERY -- IT DEPENDS HOW  
13 SCARED YOU ARE OF THAT SCENARIO WHETHER OR NOT  
14 YOU'RE GOING TO WRITE THE RULES TO ANTICIPATE FAIRLY  
15 UNLIKELY EVENTS.

16 MR. SHEEHY: WELL, WE'RE NOT REALLY.  
17 WE'RE ENFORCING WRITING RULES WITH THE FORCE OF LAW  
18 IN THE CALIFORNIA CONSTITUTION. SO IT'S A LITTLE  
19 BIT DIFFERENT WHERE THE PAID OOCYTE ISSUE IS BECAUSE  
20 WE'RE NOT JUST SIMPLY WRITING RULES TO TRY TO  
21 COVER -- IF IT WERE JUST RULEMAKING, I'D AGREED WITH  
22 YOU. BUT WE'RE ACTUALLY WRITING RULES TO ENFORCE  
23 THE LAW.

24 MS. CHARO: I UNDERSTAND. WHAT YOU'RE  
25 SUGGESTING IS THAT I WANT RESEARCH TO PROCEED ON MY

## BARRISTERS' REPORTING SERVICE

1 PARTICULAR DISEASE OR I WANT TISSUE CREATED  
2 ULTIMATELY FOR MY OWN TRANSPLANT, WHICH WOULD  
3 PRESUME THAT THE FDA IS NOT GOING TO BE APPROVING  
4 IT. I'M GOING TO GO TO MY IVF CLINIC, CLAIM I WANT  
5 EMBRYOS FOR REPRODUCTIVE PURPOSES, OBTAIN AN EGG  
6 DONOR THROUGH PAYMENT, HAVE THE EMBRYOS MADE, HAVE  
7 TO AT LEAST GO THROUGH AT LEAST ONE CYCLE OF  
8 IMPLANTATION TO CONVINCING THE IVF CLINIC I WAS  
9 SERIOUS, AND THEN WITH THE REMAINING EMBRYOS NOW  
10 DONATE THEM TO RESEARCH, AND HOPE THEY WILL ACTUALLY  
11 REBOUND TO MY BENEFIT.

12 MR. SHEEHY: WELL, I DON'T WANT TO CREATE  
13 SCENARIOS. I'M JUST MAKING THE POINT. BUT, YOU  
14 KNOW, THIS IS A NEW IDEA, AND I'M NOT TRYING TO  
15 THINK OF EITHER THE WORST-CASE SCENARIOS OR THE  
16 BEST-CASE SCENARIOS. I JUST CAN IMAGINE THAT THERE  
17 WOULD BE A SCENARIO, YOU KNOW, ESPECIALLY SINCE WE  
18 HAVE ALL THE STEM CELL RESEARCH GOING ON.

19 BUT MY OTHER QUESTION WAS I WAS REALLY  
20 INTRIGUED BY SOMETHING YOU SAID. SO WHEN PEOPLE --  
21 WHEN PEOPLE GO THROUGH IVF, THEY FERTILIZE ALL THE  
22 EGGS GENERALLY IN YOUR EXPERIENCE?

23 MS. MICHELSEN: UNLESS THE EGGS ARE  
24 IMMATURE OR ARE BLIGHTED, THEY FERTILIZE ANY VIABLE  
25 EGG.

**BARRISTERS' REPORTING SERVICE**

1 DR. TAYLOR: THAT MIGHT CHANGE AS WE GET  
2 BETTER AT FREEZING EGGS. OUR SUCCESS RATES ARE  
3 IMPROVING NOW WITH FREEZING EGGS, BUT WE'RE SO MUCH  
4 BETTER, MORE SUCCESSFUL AT FREEZING EMBRYOS, THAT  
5 THE IDEA WAS TO CREATE AS MANY EMBRYOS AS WE COULD  
6 AND THEN FREEZE THE EXTRA EMBRYOS RATHER THAN --

7 MR. SHEEHY: YOU ALSO DON'T KNOW WHAT --  
8 YOU DON'T KNOW WHEN THE FIRST EGG THAT IS -- THE  
9 FIRST EMBRYO IS CREATED WITH (INAUDIBLE) AND THE  
10 TWENTIETH EMBRYO IS CREATED.

11 DR. KIESSLING: NOT FOR A COUPLE OF DAYS.

12 DR. TAYLOR: YOU CAN LOOK AT THEM AFTER A  
13 COUPLE OF DAYS BEFORE YOU DECIDE WHICH ONES LOOK THE  
14 BEST.

15 MR. SHEEHY: SO THE LOGIC IS HAVING EXCESS  
16 EMBRYO -- EXCESS OOCYTES GOING TO RESEARCH.

17 DR. TAYLOR: WE MAY SEE MORE OF IT IN THE  
18 FUTURE, I THINK, AS WE GET BETTER AT FREEZING EGGS,  
19 BUT IT'S STILL --

20 MR. SHEEHY: SO WE WOULDN'T AT THIS KIND  
21 OF IVF PROCESS WITH THE EXCESS EGGS.

22 MR. KLEIN: THE OTHER SIDE OF THIS, JEFF,  
23 IS MY UNDERSTANDING IS THAT --

24 MR. SHEEHY: THIS IS FOR ANOTHER DAY.

25 MR. KLEIN: FOR YOUR POINT, MY

**BARRISTERS' REPORTING SERVICE**

1 UNDERSTANDING IS --

2 MR. SHEEHY: I DON'T NECESSARILY WANT  
3 DISCUSSION TODAY.

4 MR. KLEIN: -- IS THAT WOMEN ARE ONLY  
5 GOING TO GO THROUGH SO MANY OF THESE IMPLANTATIONS  
6 OF EGGS, AND AT EIGHT OR TEN THEY MAY BE ESSENTIALLY  
7 LIMITED OUT, JUST NOT GOING TO GO THROUGH THIS.

8 MR. SHEEHY: IT SEEMS LIKE THE  
9 REPRODUCTIVE CONSENTS, WE'RE GOING TO FERTILIZE ALL  
10 THE EGGS.

11 DR. TROUNSON: JEFF, I DON'T THINK THAT'S  
12 NECESSARILY CORRECT.

13 MR. SHEEHY: I'M WORKING WITHIN WHAT IS  
14 COMMON PRACTICE AS DESCRIBED. AND THIS IS NOT A  
15 DISCUSSION FOR TODAY, BUT WE NEED TO BRING IT UP  
16 AGAIN.

17 DR. TROUNSON: BUT I THINK WHAT YOU SAID  
18 WASN'T NECESSARILY CORRECT. YOU NEED TO HAVE LINES  
19 (INAUDIBLE).

20 CHAIRMAN LO: I THINK THIS IS ACTUALLY A  
21 GOOD TIME TO BREAK FOR LUNCH. LET ME JUST SAY  
22 THAT -- BECAUSE I THINK AFTER LUNCH WE HAVE TO KNOW  
23 WHAT DO WE WANT TO DO.

24 MS. LANSING: WE ALSO NEED TO SEE  
25 WHAT -- IF WE CAN GET -- THE --

## BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: THE STANFORD PEOPLE, I  
2 GUESS, ARE NOT AVAILABLE. THEY HUNG UP.

3 MS. BECKER: I'M STILL WORKING ON IT.

4 CHAIRMAN LO: OKAY. THEY WERE GOING TO  
5 ADD, WHICH MAYBE WE CAN GET AFTER LUNCH FROM OUR  
6 SCIENTISTS, IS SORT OF WHAT ARE WE LOSING IN TERMS  
7 OF RESEARCH BY FOREGOING THESE LINES, HESC LINES  
8 CREATED FROM -- ALREADY IN EXISTENCE CREATED WITH  
9 OLD FAMILY HEALTH INFORMATION OR GIVING UP THE USE  
10 OF DERIVING NEW LINES WITH EXISTING EMBRYOS FOR  
11 WHICH THE DONORS (INAUDIBLE). THAT'S THE OTHER PART  
12 OF THIS. WHAT ARE WE LOSING SCIENTIFICALLY? AND,  
13 YOU KNOW, MAYBE I COULD ASK, BECAUSE THE STANFORD  
14 PEOPLE, I DON'T THINK, ARE GOING TO BE AVAILABLE,  
15 MAYBE ASK ALAN OR MARIE AND THE SCIENTISTS ON OUR  
16 PANEL TO HELP THOSE OF US WHO AREN'T REPRODUCTIVE  
17 STEM CELL SCIENTISTS TO UNDERSTAND.

18 MEANWHILE SHALL WE BREAK FOR LUNCH? I  
19 WOULD LIKE US BACK AT 1:30. IS THAT OKAY? BECAUSE  
20 WE ALSO HAVE MEMBERS FROM THE CALIFORNIA DEPARTMENT  
21 OF PUBLIC HEALTH WHO WANT TO TELL US ABOUT THEIR  
22 REPORTING REQUIREMENTS.

23 (A RECESS WAS TAKEN.)

24 CHAIRMAN LO: I'D LIKE TO RECONVENE.  
25 BEFORE OUR LUNCH BREAK WE HEARD SOME VERY IMPORTANT,



## BARRISTERS' REPORTING SERVICE

1 VERY INTERESTING INFORMATION FROM OUR TWO GUEST  
2 SPEAKERS. AND THERE WAS A LOT OF DISCUSSION OVER  
3 LUNCH, AND WHAT SHERRY AND I WOULD LIKE TO TRY TO DO  
4 AS CO-CHAIRS -- I REALLY HAVE TO TIP MY HAT TO  
5 SHERRY -- IS TO TRY AND SORT OF PUT OUT SOME SAMPLE  
6 LANGUAGE THAT WE MAY BE ABLE TO REFINE AND THEN  
7 AGREE ON.

8 SO JUST TO PUT THE CONTEXT, THERE ARE  
9 EMBRYOS THAT ARE CREATED WITH THE INTENTION OF BEING  
10 USED IN IVF PROGRAMS. OFTEN THESE ARE FROM YOUNGER  
11 WOMEN DONORS, AND SO THERE MAY BE SOME SCIENTIFIC  
12 (UNINTELLIGIBLE). BUT AS IS TYPICAL IN IVF  
13 PRACTICE, MOST OF THESE IVF-EMBRYOS HAD PAYMENTS TO  
14 DONORS, GAMETE DONORS, THAT RECEIVED THE ACTUAL  
15 OUT-OF-THE POCKET EXPENSES. AND YEARS AFTER THEY  
16 WERE DONATED WHEN THE WOMAN, COUPLE IN IVF HAS  
17 COMPLETED THEIR TREATMENT, THAT WOMAN MAY WANT TO  
18 DONATE THEM FOR RESEARCH, SPECIFICALLY STEM CELL  
19 RESEARCH, AFTER MAKING A DECISION NOT TO KEEP THEM  
20 FROZEN OR TO DONATE THEM TO ANOTHER COUPLE FOR  
21 FERTILITY.

22 AND WHAT WE'RE TRYING TO DO IS SEE ARE  
23 THERE SOME OF THOSE OR SOME OF THE CIRM POLICIES AND  
24 REGULATIONS RESTRICTING SOME OF THE DONATIONS IN A  
25 WAY THAT IS UNNECESSARY FROM THE POINT OF VIEW OF

## BARRISTERS' REPORTING SERVICE

1 PROTECTING THE INTERESTS AND THE SAFETY OF THE DONOR  
2 WOMEN AS WELL AS THE WOMEN OR COUPLE IN IVF. SO I'M  
3 PROPOSING THIS AS SOMETHING WE NEED TO DISCUSS,  
4 MODIFY AS THE NEED BE.

5 FIRST, THE USE OF HUMAN EMBRYONIC STEM  
6 CELL LINES DERIVED FROM EMBRYOS DONATED AFTER THE  
7 WOMAN IN IVF HAS COMPLETED FERTILITY TREATMENT TO  
8 SAY THAT THESE MAY BE USED IN CIRM-FUNDED RESEARCH  
9 EVEN THOUGH THE GAMETE DONOR RECEIVED, AND THE  
10 LANGUAGE IS NOT GOOD, SO I'M OPEN TO SUGGESTION, SO  
11 I'M TRYING TO GET THE THOUGHT DOWN, EVEN THOUGH THE  
12 GAMETE DONOR RECEIVED PAYMENT IN EXCESS OF EXPENSES  
13 PROVIDED THAT SEVERAL THINGS. ONE, THAT CONSENT FOR  
14 RESEARCH USE HAS BEEN OBTAINED FROM AND PROVIDED BY  
15 THE EMBRYO DONOR AS WELL AS BOTH GAMETE DONORS. WE  
16 UNDERSTAND WE'RE GOING TO LOSE SOME EMBRYO LINES  
17 BECAUSE THE GAMETE DONORS DIDN'T CHECK OFF THAT BOX.  
18 AND IRB HAS TO APPROVE THE RESEARCH PROTOCOL.

19 AND THEN I THINK THERE WERE CONCERNS THAT  
20 A NUMBER OF PEOPLE EXPRESSED OF ARE WE MAKING SURE  
21 WE'RE PROTECTING AGAINST THE POSSIBILITY THAT THIS  
22 WOULD BE -- THIS EXCEPTION OR THIS CHANGE WOULD BE  
23 EXPLOITED BY PEOPLE TO THE DETRIMENT OF WOMEN  
24 DONATING OOCYTES.

25 SO SOME OF THE CONCERNS THAT ONE MIGHT

## BARRISTERS' REPORTING SERVICE

1 HAVE ARE THAT, FOR EXAMPLE, HAVE BEEN PRESSURED TO  
2 HAVE A MORE INTENSE INDUCTION CYCLE, RETRIEVAL CYCLE  
3 TO SORT OF RETRIEVE 25 OOCYTES RATHER THAN 15.  
4 OBVIOUSLY THAT WAS WHERE THE WOMEN DONATING OOCYTES  
5 HAVE GREATER RISK. WE HEARD THAT FROM THE NAS PANEL  
6 THAT LINDA GUIDICE CHAIRED.

7 AND THE OTHER CONCERN THAT WAS RAISED WAS  
8 THAT, WELL, MAYBE PEOPLE WOULD SAY THAT THEY WERE  
9 GOING TO USE THESE -- THEY'RE INTENDING TO USE THESE  
10 EMBRYOS FOR FERTILITY; AND THEN AFTER THEY'RE  
11 DERIVED, OH, ACTUALLY I CHANGED MY MIND. I DON'T  
12 WANT TO HAVE CHILDREN ANYMORE. LET'S GIVE THEM ALL  
13 TO SCIENCE, SORT OF AS A MAYBE NOT SO TRANSPARENT --  
14 I DON'T KNOW IF BAIT AND SWITCH IS TOO STRONG A  
15 TERM -- BUT SORT OF LESS THAN FORTHRIGHT.

16 SO, AGAIN, I THINK WE DON'T WANT TO -- WE  
17 WANT TO MAKE SURE WE'RE PROVIDING, IT SEEMS TO ME AT  
18 LEAST PERSONALLY, ADEQUATE PROTECTION TO THE WOMEN  
19 DONATING OOCYTES INVOLVED IN FERTILITY TREATMENT.  
20 AND THAT'S WHY I HAVE THOSE LAST TWO BULLETS WAS TO  
21 SUGGEST THAT WE PROTECT AGAINST -- WE'RE TRYING TO  
22 PROTECT AGAINST EMBRYOS THAT REALLY WERE INTENDED  
23 FOR RESEARCH ALL ALONG, SO THEY HADN'T BEEN USED FOR  
24 AT LEAST ONE IVF CYCLE. I THINK WE MAY WANT TO  
25 THINK -- I DIDN'T HAVE TIME TO THINK THIS THROUGH --

## BARRISTERS' REPORTING SERVICE

1 IF WE NEED OTHER PROTECTIONS PROTECTING AGAINST SORT  
2 OF (UNINTELLIGIBLE).

3 BUT I THINK THE INTENTION IS TO ALLOW  
4 THESE TO BE USED BECAUSE OF THE RESEARCH VALUE AND  
5 BECAUSE OF THE WISH OF MANY OF THE WOMEN IN IVF  
6 AFTER TREATMENT TO DONATE THEM TO RESEARCH, BUT WE  
7 ALSO I THINK WANT TO MAKE SURE WE DON'T DO SO MUCH  
8 THAT WE ACCEPT EMBRYOS WHERE THERE WAS A RISK.

9 NOW, LET ME JUST SAY THIS IS NOW FOR BOTH  
10 THE LINES THAT ARE ALREADY DEVELOPED IN EXISTENCE,  
11 BUT ALSO LOOKING TO THE FUTURE. SO I THINK THAT'S  
12 THE OTHER THING WE TALKED ABOUT BEFORE LUNCH. WE  
13 JUST HAVE TO GRANDFATHER THEM AND SEPARATE THAT OFF  
14 FROM A FUTURE USE. THAT'S ANOTHER POSSIBILITY  
15 THAT'S BEEN SUGGESTED.

16 I WANTED TO LAY THIS OUT AND JUST HAVE  
17 PEOPLE KIND OF ANALYZE. I THINK THERE'S GOING TO BE  
18 PEOPLE FROM THE PUBLIC WHO WILL DEFINITELY WANT TO  
19 COMMENT, AND WE WANT TO HEAR THOSE COMMENTS AS WELL.

20 LET ME JUST START ON THE COMMITTEE. THIS  
21 IS VERY ROUGH AND OPEN FOR MODIFICATION. ANN.

22 DR. KIESSLING: WHY DID YOU NOT INCLUDE  
23 ESCRO?

24 CHAIRMAN LO: THAT'S FINE. IRB AND ESCRO  
25 OR ESCRO. SHOULD BE IRB/ESCRO.

## BARRISTERS' REPORTING SERVICE

1 DR. LOMAX: WELL, WE DO NEED TO BE A BIT  
2 CAREFUL BECAUSE THE PRIOR APPROVALS IN THE CURRENT  
3 SITUATION WITH THE REGULATIONS DEPEND ON THE  
4 UTILIZATION OF A COVERED STEM CELL LINE.

5 MR. KLEIN: WELL, IT WOULD BE IRB OR ESCRO  
6 AS APPLICABLE.

7 DR. LO: YEAH. SOMETHING LIKE THAT.

8 DR. LOMAX: YEAH.

9 CHAIRMAN LO: WE DON'T WANT TO AVOID ESCRO  
10 REVIEW IF IT WOULD OTHERWISE BE ASKED FOR. THAT'S  
11 AN IMPORTANT CORRECTION.

12 DR. CIBELLI: QUESTION. IT IS A LITTLE  
13 BIT PROBLEMATIC TO ALLOW THIS TO TAKE PLACE IN THE  
14 FUTURE. I MEAN WE SHOULD TALK ABOUT THE PAST. I'M  
15 WONDERING IF YOU HAVE STATISTICS HOW MANY EMBRYOS  
16 CAN BE THERE. HOW MANY WERE APPROVED, HUGE, JUST IN  
17 CALIFORNIA.

18 CHAIRMAN LO: THAT'S A GOOD QUESTION.

19 DR. CIBELLI: I THINK WITH THOSE  
20 STATISTICS, WE SHOULD BE ABLE TO GET THEM  
21 (UNINTELLIGIBLE). PROBABLY IS NOT AFTER 2007, BUT  
22 YOU WOULD BE ABLE TO GET 2005 IN CALIFORNIA.

23 CHAIRMAN LO: I DON'T KNOW THAT. I DON'T  
24 KNOW IF ANYONE HERE HAS THAT DATA.

25 MS. LANSING: WE HAVE IT?

## BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: WHY DON'T YOU JUST, AGAIN,  
2 FOR THE RECORD, STEVE.

3 DR. PECKMAN: IT'S STEVE PECKMAN, UCLA. I  
4 DON'T HAVE ANY EXACT DATA ON THAT, BUT I CAN GIVE  
5 YOU ANECDOTAL DATA OF WHAT WE'VE EXPERIENCED AT  
6 UCLA, WHICH IS 50 PERCENT OF THE PEOPLE WHO HAVE  
7 CONTACTED US ABOUT EMBRYO DONATION FOR STEM CELL  
8 RESEARCH, EMBRYOS CREATED FOR CLINICAL IVF PURPOSES,  
9 50 PERCENT OF THOSE INQUIRIES, NOT ANY ADVERTISING,  
10 JUST PEOPLE PROACTIVELY CONTACTING US AT OUR  
11 INSTITUTION, 50 PERCENT OF THOSE ARE MADE WITH  
12 DONATED OOCYTES FROM THOSE DONORS. THAT'S HUNDREDS  
13 OF POTENTIAL EMBRYOS AT ONE INSTITUTION THAT CANNOT  
14 BE USED CURRENTLY BECAUSE THE OOCYTES HAVE BEEN PAID  
15 FOR FOR CLINICAL PURPOSES.

16 CHAIRMAN LO: DENISE, DO YOU WANT MAKE A  
17 COMMENT ABOUT UCSF'S EXPERIENCE AGAIN WITH PEOPLE  
18 WANTING TO -- CONTACTING YOU TO DONATE, PEOPLE  
19 DONATING LEFT-OVER EMBRYOS AFTER IVF?

20 MS. BERNSTEIN: I DON'T HAVE THE SAME  
21 NUMBERS AT ALL. I DON'T HAVE EVEN NUMBERS AT ALL,  
22 BUT THE PROGRAMS THAT REFER PEOPLE TO US KIND OF  
23 WEED OUT THE PEOPLE THAT HAVE USED DONOR GAMETES  
24 AHEAD OF TIME SO THAT I'M NOT THE PERSON GIVING THE  
25 BAD NEWS, THEY ARE, WHICH I APPRECIATE. SO IT DOES.

## BARRISTERS' REPORTING SERVICE

1 IT HAPPENS FREQUENTLY, AND THEY HAVE THE MOST TO  
2 DONATE. THEY HAVE THE MOST NUMBER OF EMBRYOS TO  
3 DONATE.

4 CHAIRMAN LO: MIKE KALICHMAN WAS HERE  
5 BEFORE. ANY THOUGHTS FROM UCSD?

6 MR. KALICHMAN: WE DON'T HAVE ANY  
7 EXPERIENCE ON THEM.

8 CHAIRMAN LO: BUT STEVE PECKMAN SAYS THAT  
9 THIS IS A MAJOR --

10 DR. PECKMAN: SO FOR US IT'S A SUBSTANTIAL  
11 ISSUE REGARDING STEM CELL RESEARCH AND THE  
12 DERIVATION OF NEW STEM CELL LINES.

13 MS. CHARO: BUT, STEVE, LET ME ASK. NOW  
14 THAT WE'VE BEEN ALERTED BY DIANE AT LEAST IN THE  
15 UNKNOWN CALIFORNIA STATUTE, THAT INDEPENDENTLY  
16 REQUIRES ABSOLUTELY THAT THERE BE CONSENT FROM BOTH  
17 SPERM AND EGG DONORS BEFORE THEIR MATERIALS CAN BE  
18 DIVERTED INTO ANYTHING. WOULD THERE BE ANY  
19 SUBSTANTIAL DIFFERENCE IN THE NUMBER OF EMBRYOS THAT  
20 HAVE TO BE REJECTED IF WE WERE TO -- IF THE RULE  
21 ABOUT PAID GAMETE DONORS HAD CHANGED SINCE THE  
22 CONSENT ISSUE WOULD STILL HAVE TO BE HANDLED?

23 DR. PECKMAN: RIGHT. I THINK THAT'S AN  
24 IMPORTANT QUESTION. I WAS THINKING ABOUT THAT  
25 DURING HER TALK, AND THOUGH I'M NOT GOING TO

## BARRISTERS' REPORTING SERVICE

1 QUESTION ANYONE'S LEGAL EXPERTISE HERE TODAY, IT  
2 WOULD BE MY OPINION THAT WE GET A LEGAL OPINION ON  
3 THAT AND REVIEW THE ACTUAL STATUTE. I KNOW WHAT  
4 SHE'S TALKING ABOUT. I DON'T REMEMBER THE EXACT  
5 WORDING OF THE STATUTE, AND I DON'T REMEMBER IT  
6 BEING THAT EXCLUSIVE.

7 THAT BEING SAID, IF THERE ARE -- LET'S SAY  
8 THAT 75 PERCENT OF THAT 50 PERCENT THAT CAN'T DONATE  
9 NOW ACTUALLY HAVE CHECKED THE BOX ON THE FORM THAT  
10 SAYS RESEARCH, AND IF WE SAY THAT RESEARCH IS  
11 SUFFICIENT ENOUGH CONSENT, AND IF WE REMOVE THE  
12 RESTRICTION ABOUT PAYMENT FOR IVF CLINICAL PURPOSES,  
13 THEN WE'VE EXPONENTIALLY INCREASED THE NUMBER OF  
14 EMBRYOS THAT ARE AVAILABLE TO DERIVE STEM CELL  
15 LINES.

16 AND SO THE ISSUE HERE FOR US HAS NOT BEEN  
17 THE FACT THAT ONE OF THE DONORS WERE UNKNOWN BECAUSE  
18 ACTUALLY THE MAJORITY OF THE CASES OF PATIENTS WHO  
19 HAVE CONTACTED US ACTUALLY KNEW THE DONORS BECAUSE  
20 THERE HAD BEEN A RELATIONSHIP SET UP, WHICH I'M  
21 ASSUMING HAPPENS OFTEN, BUT THAT THERE WAS AN ISSUE  
22 ABOUT RESEARCH THAT HAD ALREADY BEEN ADDRESSED.

23 NOW, LET'S SAY THAT THE STATUTE ISN'T WHAT  
24 WE THINK IT IS TODAY AND IT'S LESS RESTRICTIVE.  
25 THEN I THINK THE IMPORTANT QUESTION IS OWNERSHIP.



## BARRISTERS' REPORTING SERVICE

1 THAT WAS SOMETHING THAT MR. CIBELLI TALKED ABOUT  
2 THIS MORNING, WHICH IS IF THE OWNERSHIP OF THE  
3 RESULTING EMBRYO REVERTS TO THE PEOPLE WHO REQUESTED  
4 THE CREATION OF THAT EMBRYO, WHAT FURTHER INTEREST  
5 DOES THE GAMETE DONOR HAVE IN THAT IN TERMS OF  
6 CONSENT FOR THAT GAMETE AND THE USE OF IT?

7 AND THE SAME THING THAT IF YOU SELL ME AN  
8 OBJECT, ALTA, FOR X PURPOSE, AND I BUY IT AND I WANT  
9 TO USE IT FOR ANOTHER PURPOSE THAN WHAT YOU SOLD IT  
10 TO ME FOR, AM I BARRED LEGALLY FROM USING IT FOR  
11 THAT OTHER PURPOSE?

12 MS. CHARO: TRUST ME, STEVE, THAT THAT  
13 QUESTION IS MORE COMPLICATED THAN IT SOUNDS.

14 CHAIRMAN LO: I WANT TO SORT OF GET BACK  
15 TO SORT OF THE QUESTION -- THANKS, STEVE. THAT'S  
16 VERY HELPFUL. I THINK IN ALL HONESTY DIANE  
17 MICHELSEN WAS TRYING TO GIVE US TEXT OF THE LAW. I  
18 THINK WE NEED TO REFER THIS TO TAMAR AND HER STAFF  
19 TO SORT OF SEE HOW THIS IMPACTS. BUT JUST NOTE THAT  
20 ONE OF THE THINGS THAT WAS SUGGESTED WE AGREE ON IS  
21 THAT BOTH GAMETE DONORS, ANY GAMETE DONORS IN THIS  
22 EMBRYO FOR IVF HAD TO HAVE GIVEN CONSENT FOR  
23 RESEARCH AT LEAST IN A GENERAL SENSE, AS SHERRY HAS  
24 POINTED OUT. BUT THEN WE HAD A LOT OF COMMENTS  
25 HERE, AND I JUST WANT SHERRY AND LET'S JUST GO

## BARRISTERS' REPORTING SERVICE

1 AROUND.

2 MS. LANSING: I THINK, YOU KNOW, AT LUNCH  
3 WE ACTUALLY -- WE WERE TALKING. AND I THINK, UNLESS  
4 I'M MISUNDERSTANDING IT, WE CAN FIND OUT WITH A  
5 STRAW VOTE, THERE IS REAL CONSENSUS THAT WE STAY  
6 FOCUSED ON OUR ISSUE WITH ONE POSSIBLE -- ONE THAT  
7 CAN POSSIBLY BE WORKED OUT. I THINK THERE'S A REAL  
8 CONSENSUS THAT IF SOMEONE RECEIVED PAYMENT FOR IVF  
9 TREATMENT AND ACTUALLY WENT THROUGH SOME FORM OF THE  
10 TREATMENT AND CHECKED -- AND BOTH OF THE SPERM AND  
11 THE EGG DONOR BOTH CHECKED THE RESEARCH BOX, THAT  
12 THEN THAT WOULD BE ACCEPTABLE. THAT'S A BIG MOVE  
13 FOR US. I MEAN THAT'S SOMETHING THAT WE DIDN'T DO.

14 THE QUESTION THAT HAS COME UP FROM THE  
15 PUBLIC AND FROM SOME OF US, WHICH I THINK WE NEED  
16 MORE WORK ON, WHICH IS NOT THE GRANDFATHER PART, BUT  
17 THE MOVING FORWARD PART. AND WE NEED TO PUT UP THE  
18 PROTECTIONS DOWN THERE, MAKE SURE THAT THERE'S NO  
19 ABUSE OF THIS, THAT SOME, YOU KNOW, FERTILITY EXPERT  
20 WOULD WANT TO -- I HOPE THIS IS MERELY A FANTASY --  
21 WOULD RISK ENDANGERING THE WOMAN'S HEALTH TO GET  
22 MORE EGGS THAT COULD BE USED.

23 AND THAT'S THE OTHER PROTECTIONS PART THAT  
24 WE WANT TO PROTECT AGAINST SO WE CAN MOVE FORWARD IN  
25 THE FUTURE SO THERE IS NO ABUSE OF THIS. AND I

**BARRISTERS' REPORTING SERVICE**

1 THINK THAT'S THE LANGUAGE THAT WE DON'T YET HAVE.

2 CHAIRMAN-LO: SHERRY, LET ME SORT OF -- I  
3 MADE THIS SLIDE VERY RUSHED. BUT SO THIS REALLY IS  
4 BIFURCATED. AND ONE IS STEM CELL LINES ALREADY IN  
5 EXISTENCE AS OF NOVEMBER 2006, SO SORT OF THE  
6 GRANDFATHERING. YOU KNOW, WE'RE NOT -- YOU KNOW,  
7 THAT'S ONE ISSUE. WE MAY JUST WANT TO SAY, FOR  
8 EXAMPLE, WITH JUST THESE BULLETS WE'RE GOING TO  
9 ALLOW EXISTING --

10 MS. LANSING: WE HAVE UNANIMOUS CONSENT ON  
11 THAT.

12 CHAIRMAN LO: RIGHT.

13 MS. LANSING: I THINK.

14 CHAIRMAN LO: THEN GOING FORWARD INTO THE  
15 FUTURE WHERE THE ISSUE OF ADDITIONAL PROTECTIONS FOR  
16 THE WOMAN DONATING OOCYTES AND PERHAPS ALSO THE  
17 WOMEN IN IVF TREATMENT, I THINK WE NEED TO BE  
18 SENSITIVE TO THEM. AND THEN THE QUESTION IS WHAT  
19 ARE THE APPROPRIATE PROTECTIONS THAT ONE MIGHT WANT  
20 TO CONDITION HERE FOR GOING FORWARD TO ENSURE THAT  
21 PROTECTION.

22 SO ONE WAY TO SPLIT IT IS TO SAY DO WE  
23 AGREE ON GRANDFATHERING; AND IF WE DO, THAT'S A BIG  
24 STEP.

25 MS. LANSING: THAT'S A GOOD WAY TO DO IT.

## BARRISTERS' REPORTING SERVICE

1 AND THEN MAYBE GO TO STAFF AND ASK FOR WHAT OTHER  
2 PROTECTIONS WE WOULD NEED TO MOVE FORWARD IN THE  
3 FUTURE TO PROTECT AGAINST ANY --

4 CHAIRMAN LO: AND I'D ALSO LIKE TO GET  
5 OBVIOUSLY SOME PUBLIC COMMENT ON THIS AS WELL.

6 MR. KLEIN: WAIT A MINUTE. FOR  
7 GRANDFATHERING, AREN'T YOU TALKING ABOUT THE WRONG  
8 DATE BECAUSE IF WE ADOPT THIS --

9 MS. LANSING: FROM TODAY FORWARD.

10 MR. KLEIN: THE EMBRYOS ARE ALREADY IN  
11 EXISTENCE.

12 MS. LANSING: I AGREE. THE DATE OF THIS  
13 MEETING, GRANT EVERYTHING BACKWARDS.

14 CHAIRMAN LO: OR THE DATE OF THE ADOPTION.

15 MR. KLEIN: IT'S NOT STEM CELL LINES IN  
16 EXISTENCE. IF THE EMBRYOS ARE ALREADY IN  
17 EXISTENCE --

18 CHAIRMAN LO: THAT WAS THE NEXT -- GEOFF,  
19 IF YOU CAN SHOW THE NEXT SLIDE, I HAD A COMPARABLE  
20 SUGGESTION, AGAIN TRYING TO SEPARATE OUT WHICH --  
21 THERE'S NO TITLE -- USE OF IVF-EMBRYOS. I THINK --  
22 SO BOB IS SAYING, YOU KNOW, WE'RE TALKING ABOUT STEM  
23 CELL LINES. WE'RE ALSO TALKING ABOUT EMBRYOS THAT  
24 EXIST AS OF EITHER TODAY OR THE DAY THE ICOC  
25 OFFICIALLY ADOPTS IT --

## BARRISTERS' REPORTING SERVICE

1 MR. KLEIN: RIGHT.

2 CHAIRMAN LO: -- GRANDFATHERING THAT. IF  
3 WE CAN ALL AGREE ON THAT, THE GRANDFATHERING OF  
4 EITHER THE LINES OR EMBRYOS, THAT WOULD BE A BIG  
5 STEP.

6 MS. LANSING: CAN I JUST -- I'M SORRY. I  
7 THINK THAT'S, YOU KNOW, AS WE TALK TO, YOU KNOW --  
8 MARCY, I'D LOVE FOR YOU TO SPEAK.

9 DR. DARNOVSKEY: I'D LOVE TO.

10 MS. LANSING: BECAUSE I THINK THIS IS A  
11 BIG DEAL, AND I THINK WHAT WE REALLY WANT TO DO IS  
12 MOVE FORWARD IN THE MOST POSITIVE WAY WITH OUR  
13 PARTNERSHIP WITH THE PUBLIC AND NEW STANDARDS SO  
14 THAT WE CAN PRESENT IT TO THE ICOC. I THINK IF  
15 TODAY WE SAY, I KNOW, JEFF, YOU HAD SOME CONCERNS AS  
16 WELL, AND I THINK IF WE SAY AS OF WHATEVER IS  
17 ADOPTED, EVERYTHING IS GRANDFATHERED IN, AND NOW  
18 WE'RE GOING TO LOOK TO THE FUTURE AND ASK STAFF TO  
19 COME BACK WITH SOME PROTECTIONS AND HAVE ANOTHER  
20 MEETING TO TALK -- TO SEE IF WE CAN COME UP WITH  
21 SUFFICIENT PROTECTIONS SO THAT THERE CAN BE NO  
22 ABUSES MOVING FORWARD.

23 CHAIRMAN LO: OKAY. SO A NUMBER OF  
24 PEOPLE -- I CAN'T TELL IF SOME OF YOU ARE JUST  
25 STRETCHING. ROB HAD HIS HAND UP. MARCY. SHE'S

## BARRISTERS' REPORTING SERVICE

1 STRETCHING. JEFF, I KNOW AT LUNCH WE HAD A VERY  
2 INTERESTING CONVERSATION I'D LIKE YOU TO ADDRESS IF  
3 YOU WANT. AND THEN I'M ASK TO GET THE AUDIENCE  
4 INVOLVED.

5 DR. TAYLOR: YEAH. I WAS JUST GOING TO  
6 SAY I THINK THAT'S A WONDERFUL SUGGESTION. AND IT'S  
7 LIKELY THAT THAT'S GOING TO OPEN UP A LOT OF  
8 MATERIAL THAT, EVEN IF GOING FORWARD SOMEHOW THERE'S  
9 A BLOCK TO EVER SORT OF CHANGING THE ESTABLISHED  
10 POLICY AS WE KNOW IT, WE'D STILL BE MAKING A HUGE  
11 CONTRIBUTION BECAUSE I THINK THERE'S A LOT OF STUFF  
12 IN THE BANK CURRENTLY THAT CAN ACTUALLY BE UTILIZED  
13 NOW. SO I WOULD CERTAINLY SUPPORT THAT.

14 CHAIRMAN LO: JEFF SHEEHY.

15 MR. SHEEHY: YEAH. I THINK -- I REALLY  
16 THINK THAT THIS PROTECTS US, AT LEAST THIS FIRST  
17 PHASE, BECAUSE THOSE EMBRYOS WOULD NOT HAVE BEEN  
18 CREATED UNDER ANY INFLUENCE FROM US. AND SO THAT'S  
19 THE REAL -- THEY WERE CREATED FOR A COMPLETELY  
20 DIFFERENT PURPOSE, NONE OF OUR POLICIES HAD ANY  
21 IMPACT ON THEIR CREATION, SO I DON'T FEEL ANY SENSE  
22 OF UNEASE OR DISCOMFORT THAT IN ANY WAY WE VIOLATED,  
23 AS ALTA WAS TALKING ABOUT, YOU KNOW, THOSE BRIGHT  
24 LINES, THOSE CORE PRINCIPLES THAT UNDERLIE A POLICY.  
25 I DON'T THINK WE'RE IN ANY WAY INCONSISTENT WITH ANY

## BARRISTERS' REPORTING SERVICE

1 STATE LAWS OR ANYTHING LIKE THAT.

2 AND IF WE CAN PUT THE ADEQUATE  
3 PROTECTIONS, WE SHOULD LET STAFF STUDY AND SEE IF  
4 THERE'S A WAY WE CAN TALK ABOUT GOING FORWARD. BUT  
5 IT WOULD AT LEAST TAKE CARE OF, I THINK, PARTIALLY  
6 WHY FOLKS EXAMPLE ARE HERE, STANFORD, THESE EMBRYOS  
7 ARE GOING TO NO GOOD USE. IT'S IMPORTANT TO THE  
8 PARENTS, RIGHT, THAT'S WHAT IT IS IS PARENTS, TO  
9 HAVE SOMETHING GOOD COME OUT OF THIS, NOT JUST TO  
10 HAVE THEM DESTROYED AND JUST SIT IN THE FREEZER.

11 CHAIRMAN LO: DENISE AND THEN MARCY I'M  
12 GOING TO ASK YOU TO SPEAK.

13 MS. BERNSTEIN: WHEN YOU SAY THAT THESE  
14 WOULD GO TO RESEARCH, ARE YOU GOING TO BE  
15 DIFFERENTIATING TYPES OF RESEARCH, OR IT CAN ALL GO  
16 TO STEM CELL RESEARCH AND YOU CAN PROPAGATE CELLS  
17 AND DNA OUT THERE?

18 CHAIRMAN LO: WE'RE NOT GOING TO USE THE  
19 FINE-GRAINED CONSENT THAT YOU'VE PUT IN PLACE, AND  
20 WE STILL NOW SAYING GOING FORWARD WE WOULD WANT  
21 THAT. THAT'S PART OF WHAT WE NEED TO WORK OUT.

22 MS. BERNSTEIN: SO IT WOULD JUST BE  
23 EVERYTHING.

24 CHAIRMAN LO: WHAT'S HAPPENED IN THE PAST,  
25 WE'RE SAYING RATHER THAN THAW AND DISCARD THESE

## BARRISTERS' REPORTING SERVICE

1 EMBRYOS, WITH THESE PROVISIONS, IF THEY'RE ALREADY  
2 IN EXISTENCE AS OF TODAY, WE'D ALLOW THEIR USE FOR  
3 RESEARCH.

4 MS. BERNSTEIN: STEM CELL RESEARCH.

5 CHAIRMAN LO: GOING FORWARD, I THINK A  
6 NUMBER OF YOU HAVE SAID I'M COMFORTABLE WITH LOOKING  
7 BACKWARDS, HAVING ALREADY BEEN CREATED, WE'RE NOT  
8 GOING --

9 MR. KLEIN: GOING FORWARD THE PROBLEM IS  
10 NOT EVERY PLACE IS A UNIVERSITY, NOT EVERY PLACE IS  
11 THIS GOING TO HAPPEN ALL THIS VERIFICATION, SO LET'S  
12 LEAVE THAT FOR A FUTURE DISCUSSION. BUT ON AN  
13 HISTORIC BASIS, GENERAL RESEARCH IS RESEARCH.

14 CHAIRMAN LO: AND, AGAIN, JUST FOR THE  
15 RECORD.

16 DR. DARNOVSKEY: I'M MARCY DARNOVSKEY,  
17 CENTER FOR GENETICS AND SOCIETY. AND I APPRECIATE  
18 YOUR SOLICITING MY INPUT ON THIS.

19 YOU KNOW, WE'VE ALWAYS SAID THAT WE ARE  
20 SUPPORTIVE OF EMBRYONIC STEM CELL RESEARCH USING  
21 LEFT-OVER IVF-EMBRYOS, AND SO I AM COMFORTABLE WITH  
22 THE GRANDFATHERING ISSUE. LOOKING BACKWARDS, AS  
23 SEVERAL PEOPLE HAVE SAID, THOSE EMBRYOS WERE CREATED  
24 FOR A PARTICULAR PURPOSE, THEY'RE THERE, WHY SHOULD  
25 THEY GO TO WASTE? THE PEOPLE WHOSE GAMETES THEY ARE



## BARRISTERS' REPORTING SERVICE

1 AND PEOPLE WHOSE EMBRYOS THEY ARE WANT THEM TO BE  
2 USED FOR RESEARCH, GREAT. BUT I THINK IT'S REALLY  
3 VERY DIFFERENT TO THINK ABOUT GOING FORWARD. --  
4 THAT'S WHEN YOU GET INTO THE SITUATION  
5 WHERE YOU GET ALL SORTS OF CONFLICTS OF INTEREST,  
6 ALL SORTS OF COMPETING INCENTIVES OF PRACTITIONERS.  
7 YOU KNOW, I DON'T THINK IT'S JUST A FANTASY,  
8 UNFORTUNATELY, THAT PEOPLE WOULD EITHER CONSCIOUSLY  
9 OR UNCONSCIOUSLY FEEL THAT, YOU KNOW, JUST A LITTLE  
10 MORE OF THIS HORMONE, WE GET A FEW MORE EGGS, WE  
11 REALLY WANT THEM. AND WHAT WE SEE TO DATE IN THE  
12 ATTEMPTS TO ACQUIRE EGGS FOR SCNT RESEARCH IS THAT  
13 JUST BECAUSE OF THE NATURE OF THESE FIELDS, THE IVF  
14 PRACTITIONERS AND THE STEM CELL RESEARCHERS ARE VERY  
15 CLOSE TO EACH OTHER, SOMETIMES NOT FREQUENTLY, YOU  
16 KNOW, THE SAME INSTITUTION, SOMETIMES ON A DIFFERENT  
17 FLOOR OF THE SAME BUILDING. AND IT'S A SITUATION  
18 WHERE I THINK IT'S REALLY DIFFICULT TO SEPARATE  
19 PEOPLE EVEN INSIDE THEMSELVES, EVEN PEOPLE OF GREAT  
20 INTEGRITY, TO SEPARATE THEIR DESIRE AND DUTY OF CARE  
21 TO THE WOMAN WHO COMES IN TO PROVIDE EGGS AND THEIR  
22 DESIRE AND THEIR, YOU KNOW, SENSE OF DUTY TO THE  
23 PERSON WHO'S UNDERGOING THE IVF TREATMENT AND ALSO  
24 THEIR DESIRE AND MAYBE, AS THEY SEE IT, THEIR DUTY  
25 TO ADVANCING THE RESEARCH.

## BARRISTERS' REPORTING SERVICE

1           AND I THINK THAT PUTS THESE PEOPLE IN A  
2           VERY DIFFICULT SITUATION, AND IT PUTS THE WOMEN WHO  
3           ARE PROVIDING THE EGGS AT RISK. SO THAT, I THINK,  
4           MAKES THE GOING FORWARD, THE PROSPECTIVE, ALLOWING  
5           THE USE OF IVF-EMBRYOS WITH PAID GAMETES IN A  
6           PROSPECTIVE WAY, I THINK THERE IS A VERY BRIGHT LINE  
7           CONCEPTUALLY, LOGICALLY, ETHICALLY, MAYBE LEGALLY  
8           BETWEEN THE GRANDFATHERING AND THE MOVING FORWARD.

9           CHAIRMAN LO: THERE'S A NUMBER OF  
10          COMMENTS.

11          MS. LANSING: I JUST WANTED TO THANK YOU,  
12          MARCY, BECAUSE I THINK ACTUALLY WE HAD AN  
13          OPPORTUNITY TO TALK. AND I THINK YOUR INPUT WAS  
14          INVALUABLE.

15          DR. DARNOVSKEY: THANK YOU.

16          MS. LANSING: AND I THINK THAT IT'S GREAT  
17          THAT WE HAVE CONSENSUS, I THINK, I HOPE I'M NOT  
18          SPEAKING OUT OF TURN, AROUND THE GRANDFATHERING  
19          BECAUSE WE ALWAYS WANT TO MOVE FORWARD WITH  
20          CONSENSUS WITH YOU AS OUR PARTNER. AND I HOPE,  
21          BECAUSE I THINK YOUR INPUT WAS REALLY GOOD, I HOPE  
22          THAT YOU WILL WORK WITH US IN SEEING IF THERE'S A  
23          POSSIBILITY TO HAVE ENOUGH PROTECTIONS THAT WE CAN  
24          LOOK TO THE FUTURE AS WELL.

25          CHAIRMAN LO: LET ME ASK ALTA, AND THEN WE

## BARRISTERS' REPORTING SERVICE

1 HAVE SOME OTHER PUBLIC COMMENT.

2 MS. CHARO: WELL, FIRST, SINCE IN MOST  
3 CASES WE'RE TALKING ABOUT PAID EGG DONORS, NOT SPERM  
4 DONORS, I WOULD SUGGEST WE START TALKING ABOUT  
5 GRANDMOTHERING AS OPPOSED TO GRANDFATHERING.

6 LISTENING CLOSELY, I REALIZE THAT THERE  
7 ARE TWO DIFFERENT SETS OF CONCERNS IN THE CONTEXT OF  
8 THE PROSPECTIVE RULE THAT WE WOULD ALLOW CIRM  
9 GRANTEES TO DERIVE FROM EMBRYOS THAT INCLUDE WITH  
10 THEM GAMETES FROM A PAID DONOR. AND THE FIRST,  
11 WHICH IS WHAT I THOUGHT WE WERE GOING TO BE WORRIED  
12 ABOUT, WAS THAT SOMEHOW THIS WAS GOING TO ENCOURAGE  
13 WOMEN TO BECOME PAID GAMETE DONORS IN THE  
14 REPRODUCTIVE CONTEXT WHEN THEY OTHERWISE MIGHT NOT  
15 DO IT. AND THAT REALLY DID WORRY ME A BIT BECAUSE  
16 THAT'S EXACTLY THE LOGIC THAT HAD BEEN USED BY THE  
17 H. W. BUSH AND W. BUSH ADMINISTRATIONS WHEN IT CAME  
18 TO THE RULES ABOUT, FIRST, FETAL TISSUE RESEARCH IN  
19 THE '80S AND THEN ABOUT EMBRYONIC STEM CELL RESEARCH  
20 AT ALL IN THE 2000S. THAT IS, THAT THE PROSPECT OF  
21 RESEARCH OUT THERE SOMEWHERE IN THE WORLD AS AN  
22 AVENUE FOR DISCARDED PRODUCTS TO GO WOULD SOMEHOW  
23 ENCOURAGE PARTICIPATION.

24 AND THAT WAS A LINE THAT MOST OF US HAD  
25 REJECTED AS UNREALISTIC, AND I'M GLAD THAT THAT'S

## BARRISTERS' REPORTING SERVICE

1 ACTUALLY NOT, I THINK, FROM WHAT I'M HEARING, THE  
2 FOCUS OF CONCERN HERE.

3 BUT MARCY RAISED A TOTALLY SEPARATE  
4 CONCERN, WHICH I ACTUALLY HADN'T REALLY THOUGHT  
5 ABOUT, WHICH IS THE POTENTIAL EFFECT, NOT ON THE  
6 WOMAN, BUT ON THE PEOPLE SURROUNDING THE WOMAN. AND  
7 ON THAT SCORE, IT IS INTERESTING, THOUGH, TO GO BACK  
8 TO THE OLD FETAL TISSUE RULES BECAUSE THAT WAS ALSO  
9 A CONCERN, THAT THE MODE OF ABORTION, TIMING,  
10 METHOD, ETC., MIGHT BE ALTERED TO MAKE THE FETAL  
11 TISSUE FROM THE ABORTUS MORE USABLE TO RESEARCH.  
12 AND THAT THIS WOULD HAVE AN ADVERSE EFFECT ON THE  
13 QUALITY OF THE MEDICAL CARE GIVEN TO THE WOMAN  
14 UNDERGOING ABORTION.

15 AND AS A RESULT, IN THE FEDERAL  
16 REGULATIONS, THEY WRITE IN A RULE THAT SAYS IF YOU  
17 ARE GOING TO USE THIS TISSUE, AND THESE RULES STILL  
18 PERSIST EVEN NOW IN THE W. BUSH ADMINISTRATION, IF  
19 YOU ARE GOING TO USE THIS TISSUE, YOU HAVE TO  
20 TESTIFY NOT ONLY TO THE FACT THAT THE WOMAN WASN'T  
21 PAID TO INDUCE HER TO HAVE AN ABORTION, BUT THAT THE  
22 METHOD OF ABORTION WAS NOT ALTERED. AND TO PROVE  
23 THAT, YOU HAVE TO SHOW THAT THE METHOD OF ABORTION  
24 WAS CHOSEN BEFORE SHE WAS APPROACHED FOR HER CONSENT  
25 TO RELINQUISH THE PRODUCTS OF ABORTION TO RESEARCH.

## BARRISTERS' REPORTING SERVICE

1 IN OTHER WORDS, YOU SEPARATE OUT FOR BOTH THE  
2 WOMAN'S THINKING AND THE CARRIER'S THINKING, RIGHT,  
3 THE PROSPECT OF RESEARCH AS THEY'RE COMING TO AN  
4 AGREEMENT ON HOW TO PROCEED.

5 IT MAY NOT BE COMPLETELY TRANSFERABLE  
6 INSTANTLY, AND I DO TAKE THE POINT ABOUT THE  
7 COLLABORATIONS THAT GO ON, ESPECIALLY AS WE'RE  
8 MOVING TO GMP QUALITY RESEARCH WHERE YOU ACTUALLY  
9 NEED THE IVF DOCS AND THE RESEARCHERS TO BE IN  
10 FAIRLY CLOSE PROXIMITY IN ORDER TO MANAGE THE  
11 PHYSICAL ENVIRONMENT. I KNOW UP IN NEWCASTLE THEY  
12 HAVE BEEN DOING THIS. BUT IT DOES SEEM TO BE AN  
13 AVENUE OF ENDEAVOR THAT MIGHT LEAD TO THE KIND OF  
14 PROTECTIONS THAT WE'D LIKE TO SEE WITHOUT  
15 NECESSARILY HAVING TO ABSOLUTELY PREVENT THE USE OF  
16 SUCH EMBRYOS BECAUSE NOW AT LEAST WE'RE FOCUSED ON  
17 WHAT WE'RE WORRIED ABOUT, AND WE'VE GOT SOME MODELS  
18 OF HOW TO GUARD AGAINST ANY INCIDENTAL ABUSES EVEN  
19 BY PEOPLE OF GOOD INTENTION.

20 CHAIRMAN LO: OTHER PUBLIC -- SEVERAL  
21 PUBLIC COMMENTS. LET'S DO ONE AT A TIME. AGAIN,  
22 PLEASE INTRODUCE YOURSELF FOR THE RECORD.

23 MS. SMITH-CROWLEY: SHANNON SMITH-CROWLEY,  
24 REPRESENTING THE AMERICAN SOCIETY FOR REPRODUCTIVE  
25 MEDICINE. I WAS VERY PLEASSED TO HEAR

## BARRISTERS' REPORTING SERVICE

1 DR. DARNOVSKEY'S AGREEMENT AS TO THE GRANDMOTHERING  
2 OF THE EMBRYOS USING PAID GAMETES. AND I WOULD LIKE  
3 TO SAY THAT WE WILL DO EVERYTHING IN OUR POWER TO  
4 WORK TO FIND A SOLUTION TO HELP PUT SOME PROTECTIONS  
5 IN PLACE. AND I THINK THE ISSUES THAT WERE BROUGHT  
6 UP WERE EXTREMELY VALID, AND I HOPE WE CAN ADDRESS  
7 THEM, ESPECIALLY LOOKING AT THE HISTORICAL  
8 REFERENCES IN TERMS OF SOME THINGS SUCH AS THE FETAL  
9 TISSUE THAT THE OB-GYN'S HAVE HAD TO DEAL WITH MORE  
10 MANY YEARS. THANK YOU.

11 MR. REED: IT'S ALWAYS A PLEASURE TO HEAR  
12 THE AMAZINGLY ELEVATED LEVEL OF DISCOURSE THAT GOES  
13 ON HERE. I WISH SOME OF OUR OPPONENTS IN THE PRESS  
14 WOULD COME TO OUR MEETINGS AND HEAR WHAT IS ACTUALLY  
15 SAID.

16 TO GO TO A VERY SMALL DETAIL, I'M  
17 CONCERNED ABOUT THE FIRST SENTENCE, EMBRYOS DONATED  
18 AFTER A WOMAN IN IVF HAS COMPLETED FERTILITY  
19 TREATMENT. DOES THAT MEAN AFTER SHE HAS COMPLETED  
20 AN IVF CYCLE, OR COULD THAT BE CONSTRUED AS WHEN SHE  
21 IS BEYOND REPRODUCTIVE AGE? IS THAT SOMETHING THAT  
22 COULD BRING US BACK DIFFICULTIES IN THE FUTURE, OR  
23 COULD THERE BE HAS COMPLETED AN INFERTILITY  
24 TREATMENT CYCLE, SOMETHING LIKE THAT?

25 DR. PECKMAN: FAMILY PLANNING IS

**BARRISTERS' REPORTING SERVICE**

1 COMPLETED.

2 MS. CHARO: I THINK THE INTENT IS PROBABLY  
3 AT THE POINT AT WHICH SHE NO LONGER PLANS TO USE ANY  
4 REMAINING EMBRYOS. WHETHER SHE'S AT REPRODUCTIVE  
5 AGE OR NOT, SHE'S DECIDED SHE'S GOING TO CONCLUDE  
6 HER IVF EFFORTS.

7 MR. KLEIN: A WOMAN'S CHOICE. IT'S THE  
8 WOMAN'S CHOICE.

9 MR. REED: RIGHT. I DON'T QUESTION THE  
10 INTENT. I JUST QUESTION COULD THIS BE USED AGAINST  
11 US IN ANY WAY?

12 DR. TAYLOR: REPRODUCTIVE AGE IS PRETTY  
13 BROAD NOW, SO I DON'T THINK WE WANT TO TRY TO DEFINE  
14 THAT.

15 CHAIRMAN LO: WE HAVE THE LUXURY TO BE  
16 ADVISORY TO THE ICOC.

17 MS. LANSING: WHEN SHE DECIDES SHE NO  
18 LONGER WANTS.

19 CHAIRMAN LO: THAT'S A GOOD POINT.

20 MR. KLEIN: DID SOMEONE GET SHERRY'S  
21 COMMENT THERE?

22 MS. LANSING: WHEN SHE DECIDES THAT SHE NO  
23 LONGER WANTS THEM.

24 DR. LOMAX: THIS IS IN THE RECORD.

25 MR. KLEIN: WE HAVE A TRANSCRIPT FROM THE

## BARRISTERS' REPORTING SERVICE

1 RECORDING.

2 DR. LOMAX: SHERRY'S COMMENT IS ACTUALLY  
3 CONSISTENT WITH OUR EXISTING BODY OF REGULATION,  
4 WHICH INDICATES THAT THESE DECISIONS ARE BY THE  
5 WOMAN. SO IT'S CONSISTENT WITH OUR EXISTING  
6 LANGUAGE. WHEN WE COME BACK TO YOU, WHICH I WOULD  
7 SUGGEST THAT -- I ENVISION ON THIS ITEM A PROCESS  
8 WHERE PERHAPS WE WOULD COME BACK TO THE WORKING  
9 GROUP FIRST BECAUSE THIS IS VERY GOOD DIRECTION, BUT  
10 UNLIKE THE FIRST ITEM THAT HAD SUFFICIENT --

11 MR. KLEIN: GEOFF, I THINK THIS IS THE  
12 DIRECTION TO THE ICOC. WE'RE GOING TO DEAL WITH IT  
13 AT THE ICOC.

14 MS. LANSING: IF YOU CAN -- WITH ALL DUE  
15 RESPECT, BOB, IF YOU CAN GIVE US SOME WRITTEN POINTS  
16 TO KIND OF HELP US IN OUR DIRECTION, WE DON'T OBJECT  
17 TO THAT. THE MORE SPECIFIC YOU CAN HELP US BE FOR  
18 THE ICOC.

19 DR. LOMAX: I THINK THE POINT IS WE NEED  
20 TO REALLY KIND OF GO BACK INTO THE REGULATIONS GIVEN  
21 THE SENSE OF THE COMMITTEE TODAY AND UNDERSTAND SORT  
22 OF WHERE THE POINTS OF -- WHERE WE CAN ACTUALLY  
23 BRING THIS IN. SO THE DETAILS OF THE LANGUAGE WE  
24 NEED TO DEVELOP, BUT WITHIN THE CONTEXT OF EXISTING  
25 REGULATIONS. AND ON THIS PARTICULAR ITEM --



## BARRISTERS' REPORTING SERVICE

1 MS. LANSING: THIS IS JUST --

2 CHAIRMAN LO: LET ME -- I THINK THERE NEED  
3 TO BE MORE COMMENTS AND DISCUSSION ON THE PANEL.  
4 STEVE, WHY DON'T YOU GO AHEAD.

5 DR. PECKMAN: STEVE PECKMAN, UCLA. FIRST  
6 OF ALL, I'D LIKE TO SECOND ALTA'S COMMENTS ABOUT  
7 SEPARATING OUT THE IVF TREATMENT FROM THE DONATION  
8 FOR RESEARCH WITH OOCYTE -- CLINICAL OOCYTE DONORS,  
9 AND THAT WE SHOULDN'T WHOLE CLOTH DISMISS THE IDEA  
10 THAT COUPLES OR INDIVIDUALS CREATING EMBRYOS WITH  
11 DONATED OOCYTES, THAT THOSE NEED TO BE REMOVED OFF  
12 THE TABLE IN THE FUTURE BECAUSE THERE MAY BE  
13 APPEARANCE -- BECAUSE THERE MAY BE QUESTIONS WE  
14 HAVE, BUT RATHER ENSURE THAT THOSE QUESTIONS ARE  
15 ADDRESSED AND MAKE THOSE EMBRYOS AVAILABLE IN THE  
16 FUTURE WITH THOSE QUESTIONS ADDRESSED. THAT'S THE  
17 MAIN POINT I ACTUALLY CAME HERE TO MAKE TODAY, SO  
18 I'M GLAD THAT ALTA MADE IT FOR ME.

19 I WANT TO GO BACK TO THE DISCUSSION THAT  
20 HAPPENED BEFORE LUNCH REGARDING SPERM DONORS AND  
21 INFORMED CONSENT. I DON'T KNOW OF ANY ACADEMIC  
22 MEDICAL CENTER IN CALIFORNIA THAT REQUIRES WHEN  
23 TISSUES ARE BEING SHARED THAT THEY RECEIVE AN ACTUAL  
24 SIGNED CONSENT FORM FROM THE DONOR. WHAT THEY  
25 REQUEST IS THE CONSENT FORM THAT'S USED WHICH THEY

## BARRISTERS' REPORTING SERVICE

1 HAVE OBTAINED INFORMED CONSENT, AN ATTESTATION BY  
2 THE PROVIDER THAT IT HAS BEEN USED APPROPRIATELY,  
3 AND THAT NO ONE ASKS TO SEE THE ACTUAL SIGNED  
4 DOCUMENT. I THINK THAT'S A RED HERRING THAT SHOULD  
5 BE TAKEN OFF THE TABLE COMPLETELY.

6 BUT THE MAIN POINT HERE TODAY IS TO  
7 ENCOURAGE YOU TO CONSIDER THE USE BOTH  
8 RETROSPECTIVELY AND PROSPECTIVELY OF EMBRYOS THAT  
9 ARE CREATED WITH PAID DONORS FOR CLINICAL REASONS,  
10 THAT THOSE BE ALLOWED TO BE USED FOR RESEARCH EVEN  
11 THOUGH THOSE DONORS WERE PAID FOR CLINICAL PURPOSES  
12 BECAUSE, AS YOU DISCUSSED EARLIER, INTENT OF THE  
13 RESTRICTION WAS TO ADDRESS UNDUE INFLUENCE ON THE  
14 OOCYTE DONOR. AND THERE'S CLEARLY NO UNDUE  
15 INFLUENCE ON THE OOCYTE DONOR WHO IS CONTRIBUTING  
16 THE OOCYTE FOR FERTILITY PURPOSES, AND THAT THE  
17 RESEARCH COMES FOLLOWING THOSE DECISIONS AND IS  
18 ACTUALLY NOT RELATED TO THAT IVF PROCESS AT ALL.  
19 AND WE NEED TO SEPARATE THOSE ASPECTS OUT. THANK  
20 YOU.

21 CHAIRMAN LO: THANK YOU.

22 MR. KLEIN: SO, MR. CHAIRMAN, IF THE  
23 INSTITUTIONS LIKE UCLA HAVE BEEN ALIGNED UPON THE  
24 PROVIDING -- ATTESTING TO THE FACT THAT CONSENT IS  
25 THERE, IT'S NOT OUR INTENT TO ASK THEM TO ACTUALLY

## BARRISTERS' REPORTING SERVICE

1 SUBMIT THE FORM; IS THAT CORRECT, WITH THE  
2 GRANDFATHERED EMBRYOS THAT REALLY EXIST IN  
3 PRACTICES?

4 DR. PECKMAN: I THINK WHAT YOU  
5 WANT -- WHAT YOU WANT TO SEE IS THE SAMPLE FORM  
6 THAT'S BEING USED, NOT THE ACTUAL SIGNED DOCUMENT.

7 MR. KLEIN: YEAH. THAT MAKES SENSE.

8 CHAIRMAN LO: I THINK, AGAIN, WHAT WE'RE  
9 TRYING TO DO IS HAVE A FAIRLY VIABLE POLICY  
10 RECOMMENDATION WITH THE ICOC. I THINK SOME OF THESE  
11 DETAILS, I'LL BE SHRINKING THEM. WE WON'T BE ABLE  
12 TO DO THAT TODAY. I THINK THAT'S SOMETHING WE'D  
13 LIKE FRANKLY THE ICOC STAFF SORT OF DO.

14 MR. SIMPSON: JOHN SIMPSON FROM CONSUMER  
15 WATCHDOG. I JUST WANTED TO AGREE THAT THE  
16 GRANDMOTHERING AND THE GRANDFATHERING AND  
17 GRANDPERSONING IS ABSOLUTELY THE RIGHT THING TO DO.  
18 I ALSO WANTED TO AGREE WITH THE PREVIOUS SPEAKER,  
19 THAT I DO ALSO THINK THAT IF COUPLES ARE IN A  
20 POSITION AND THEY ARE COMPLETED WITH THEIR IVF  
21 CYCLE, THAT THEY OUGHT TO BE ABLE TO GIVE DONATION  
22 OF THE EMBRYOS NO MATTER HOW THEY WERE OBTAINED  
23 ORIGINALLY.

24 SO I THINK YOU NEED TO PUT TREMENDOUS  
25 EMPHASIS ON THE PROSPECTIVE ASPECT OF THIS BECAUSE I

## BARRISTERS' REPORTING SERVICE

1 THINK WHAT YOU WILL END UP DOING HERE, IF YOU LET IT  
2 DRAG ON, YOU'RE GOING TO HAVE A VERY BIFURCATED  
3 GROUP OF PEOPLE, PEOPLE WHO KNOW THAT THEIR EMBRYOS  
4 WERE DONATED, AND THERE WILL BE A FRUSTRATED GROUP  
5 THAT WOULD LIKE TO BE DONATING THEM, BUT DON'T  
6 UNDERSTAND WHY THEY CAN'T. SO I WOULD ENCOURAGE  
7 APPROPRIATE DELIVERY OF THE CASE IN MOVING FORWARD  
8 TO FIGURE OUT TO MAKE THIS WORK IN THE FUTURE.

9 CHAIRMAN LO: I THINK, AGAIN, THE  
10 PROSPECTIVE IS SOMETHING WE'RE GOING HAVE TO COME  
11 BACK TO. WE'RE GOING TO WORK WITH THOSE PEOPLE.

12 JOSE, YOU'VE BEEN VERY PATIENT.

13 DR. CIBELLI: YEAH. I HAPPEN TO HAVE MY  
14 COMPUTER WITH 2004 CDC REPORT. AND DID A QUICK  
15 SEARCH. I THINK IT'S IMPORTANT JUST THE MAGNITUDE  
16 OF THE ISSUE. I'M NOT AN EXPERT, BUT I READ THE  
17 NUMBERS TO YOU. SO IN 2004 THEY WERE DOING OVER  
18 127,877 CYCLES. AND OF THOSE, 58,175 WERE DONOR  
19 EGGS. THAT'S ABOUT 12 PERCENT ON THE WHOLE CYCLES  
20 IN THE U.S. I DON'T KNOW IF THAT GIVES SOME  
21 PERSPECTIVE OR IT'S RELATED TO THIS OR NOT.

22 CHAIRMAN LO: DOES THAT GIVE STATISTICS  
23 FOR THE NUMBER OF CYCLES WITH DONATED SPERM?

24 DR. CIBELLI: I COULDN'T FIND IT.

25 CHAIRMAN LO: COULDN'T FIND IT. THAT'S

## BARRISTERS' REPORTING SERVICE

1 SOMETHING I'M GOING TO ASK STAFF TO LOOK AT. THANK  
2 YOU. IT'S GREAT TO SEE THE INTERNET AT WORK.

3 MS. MICHELSEN: IN HEARING THE CONCERNS AS  
4 TO HOW YOU OFFER PROTECTION, PERHAPS ONE OF THE  
5 THINGS THE COMMITTEE MIGHT DO IS DRAFT A CONSENT  
6 FORM THAT HAS THE PIECES YOU WANT TO MAKE SURE THAT  
7 PEOPLE HAVE ADHERED TO AND REQUIRE, FOR EXAMPLE,  
8 THAT THIS CONSENT FORM BE SIGNED NO SOONER THAN  
9 AFTER THE IVF PROCEDURE. AND THAT WAY IT'S VERY  
10 CLEAR THAT THE PROCEDURE TOOK PLACE PRIOR TO THE  
11 CONCEPT OF DONATION. AND THEN YOU CAN ADD IN YOUR  
12 OTHER PROTECTIONS ON THE CONSENT FORM, AND POSSIBLY  
13 THEN YOU CAN MANDATE THIS CONSENT FORM BE SIGNED BY  
14 THE VARIOUS IVF CLINICS AND SO FORTH THAT MAY BE  
15 DOING THESE PROCEDURES. SO THAT'S AN IDEA.

16 CHAIRMAN LO: GREAT. I THINK, AGAIN, MY  
17 SENSE IS WE'RE GOING TO NEED TO THINK MORE ABOUT THE  
18 PROSPECTIVE. I'D LIKE TO KIND OF REACH CLOSURE  
19 HERE. SO, GEOFF, IF YOU COULD CHANGE THIS, THAT  
20 THIS REALLY -- THIS -- WHY DON'T YOU PUT BACK ON THE  
21 SLIDE.

22 IS IT THE SENSE OF THIS MEETING THAT TO WE  
23 GO TO THE ICOC AND SAY THAT WITH REGARD TO BOTH  
24 EXISTING STEM CELL LINES AND EMBRYOS ALREADY IN  
25 EXISTENCE AS OF THE CUTOFF DATE WE MENTIONED TODAY

## BARRISTERS' REPORTING SERVICE

1 OR AT THE ICOC MEETING, THAT THEY BE ALLOWED TO BE  
2 USED SUBJECT TO THESE, BUT RATHER THAN THE LAST  
3 BULLET, THIS IS REALLY FOR ONES ALREADY IN  
4 EXISTENCE, THAT WE'RE GOING TO TODAY JUST PICK UP ON  
5 THAT IF WE CAN AND COME BACK AT THE NEXT MEETING TO  
6 PROPOSE PROTECTIONS.

7 MR. KLEIN: AND THE SLIDE DOESN'T ACTUALLY  
8 SAY EMBRYOS ALREADY IN EXISTENCE AS OF THE DATE OF  
9 OUR ADOPTION, BUT WE COULD ADD A BULLET POINT.

10 MS. LANSING: THIS WOULD APPLY TO ANY  
11 EMBRYOS --

12 CHAIRMAN LO: EMBRYOS AND LINES.

13 MS. LANSING: -- IN THE PAST UP TO THE  
14 DATE OF THE ADOPTION.

15 MR. KLEIN: THAT'S RIGHT.

16 CHAIRMAN LO: GEOFF WILL --

17 MS. LANSING: AND WE'RE GOING TO THEN COME  
18 BACK. OUR NEXT SUBJECT OF OUR NEXT MEETING IS HOW  
19 WE MOVE FORWARD IN THE FUTURE.

20 CHAIRMAN LO: SO, MARCY, YOU HAD A  
21 COMMENT? FOR THE RECORD.

22 DR. DARNOVSKEY: FOR THE RECORD, MARCY  
23 DARNOVSKEY, CENTER FOR GENETICS AND SOCIETY. I JUST  
24 WANT TO CONNECT SOME DOTS HERE. ONE ABOUT THE --  
25 I'M NOT CONVINCED THERE'S A WAY TO ADEQUATELY

## BARRISTERS' REPORTING SERVICE

1 PROTECT WOMEN PROVIDING EGGS DOING IT IN THE FUTURE  
2 AT ALL. AND I THINK ONE OF THE THINGS THAT HAS TO  
3 BE CONSIDERED IS DO YOU REALLY NEED THIS? YOU KNOW,  
4 YOU HAVE A 85, MAYBE 80 PERCENT OF EMBRYOS THAT  
5 DON'T HAVE PAID GAMETES IN THEM AT ALL. NOW WE'RE  
6 SAYING THE ONES THAT ALREADY EXIST ARE GOING TO BE  
7 ALLOWED. THAT'S A WHOLE -- AS DR. TAYLOR SAID, WE  
8 HAVE A WHOLE NOTHER SUPPLY OF MATERIAL.

9 SO I THINK WE'RE IN A DIFFERENT MEETING  
10 SITUATION THAN WE WERE FIVE MINUTES AGO BY A LOT.  
11 AND THAT REALLY RECOGNIZING THAT NUMBER, THAT IT'S  
12 ONLY 12 PERCENT OF THE CYCLES, FOLKS, YOU KNOW,  
13 WE'RE NOT TALKING ABOUT THAT MANY, SO I THINK THAT  
14 DISCUSSION HAS TO REALLY --

15 CHAIRMAN LO: THE WHOLE PROSPECTIVE ISSUE  
16 IS WE NEED TO COME BACK TO THAT.

17 MS. LANSING: WE NEED TO REALLY DISCUSS  
18 IT, YOU KNOW, AND SEE WHAT --

19 CHAIRMAN LO: WHAT I WOULD LIKE TO --  
20 DENISE. IS THIS ON THE RETROSPECTIVE BECAUSE I  
21 WANT --

22 MS. BERNSTEIN: THIS IS JUST VERY QUICKLY  
23 ON THE COMMENT. I JUST THINK THAT THE EMBRYOS THAT  
24 ARE FROZEN, THAT HAVE BEEN FROZEN FROM THE DONOR  
25 GAMETES, IT'S NOT JUST A QUANTITY ISSUE. THOSE

**BARRISTERS' REPORTING SERVICE**

1 EMBRYOS ARE PROBABLY SCIENTIFICALLY SOUND.

2 CHAIRMAN LO: WE'RE GOING TO HAVE TO --  
3 WE'RE UNABLE TO GET THE STANFORD SCIENTISTS, BUT I  
4 THINK THAT'S PART OF THE DISCUSSION. WHAT WOULD BE  
5 THE GAIN SCIENTIFICALLY IF WE COULD USE THESE  
6 EMBRYOS GOING FORWARD? WHAT'S THE MAGNITUDE OF THAT  
7 POTENTIAL CASE?

8 MS. LANSING: AND HOW DO WE PROTECT? AND  
9 SO I THINK, TO PUT THIS IN PERSPECTIVE, YOU KNOW,  
10 AFTER TWO YEARS, YOU KNOW, OF EACH OF US HAVING  
11 DIFFERING OPINIONS TO WHAT, I HOPE, I THINK WILL  
12 PROBABLY BE A CONSENSUS WITH OUR GROUP AND OUR  
13 ADVOCATES IN THE PUBLIC, THIS IS A BIG STEP FOR US.  
14 AND NOW WE'RE GOING TO A LOT OF DISCUSSION, A LOT OF  
15 INPUT FROM EVERY SIDES TO SEE IF THERE IS A WAY TO  
16 MOVE FORWARD IN THE FUTURE, THE BENEFITS, THE  
17 DOWNSIDE, AND WE CAN ANALYZE IT.

18 CHAIRMAN LO: WHAT I WOULD PROPOSE WE DO  
19 NOW IS TO ACTUALLY TAKE AN ADVISORY ROLL CALL VOTE  
20 TO THE ICOC AND SEE IF IT IS THE SENSE OF THIS  
21 COMMITTEE TODAY TO RECOMMEND TO THE ICOC THIS  
22 GRANDPARENTING IN OF THESE EMBRYOS AND EXISTING STEM  
23 CELL LINES USING PAID GAMETES SUBJECT TO THESE  
24 CONDITIONS.

25 OKAY. GEOFF, YOU WANT TO READ THE ROLL.



**BARRISTERS' REPORTING SERVICE**

1 DR. LOMAX: ARE WE CLEAR -- DO WE NEED  
2 TO --

3 MR. KLEIN: YEAH.

4 DR. LOMAX: -- FURTHER ELUCIDATE THE  
5 MOTION? OKAY. SO THE RECORD REFLECTS WHAT WE'RE  
6 VOTING ON, AND WE CAN JUST GO FORWARD AND VOTE. AND  
7 FOR ANY REASON -- WELL, LET'S JUST MOVE FORWARD WITH  
8 THE VOTE.

9 ROBERT TAYLOR.

10 DR. TAYLOR: YEAH. I'M FOR  
11 GRANDMOTHERING.

12 DR. LOMAX: ANN KIESSLING.

13 DR. KIESSLING: I AM A GRANDMOTHER.

14 DR. LOMAX: FRANCISCO PRIETO.

15 DR. PRIETO: I SUPPORT GRANDMOTHERS.

16 DR. LOMAX: ALTA CHARO.

17 MR. KLEIN: FOR THE RECORD, WE REALLY  
18 SHOULD -- WE NEED THE VOTE.

19 DR. KIESSLING: YES.

20 DR. PRIETO: YES.

21 MS. CHARO: YES. AND IF I'M EVER LUCKY  
22 ENOUGH TO HAVE CHILDREN, I'M LIKELY TO BE A  
23 GRANDMOTHER.

24 CHAIRMAN LO: I'M JUST GOING TO SAY YES.

25 MS. LANSING: I'M GOING TO SAY YES IN

**BARRISTERS' REPORTING SERVICE**

1 FAVOR. YES. I CAN'T RESIST THIS. I HOPE SOMEDAY  
2 TO BE A GRANDMOTHER IN FAVOR OF ALL GRANDMOTHERS.

3 MR. KLEIN: YES.

4 MS. FEIT: YES.

5 MR. SHEEHY: YES.

6 DR. CIBELLI: IN FAVOR OF THIS.

7 CHAIRMAN LO: WELL, THANK YOU VERY MUCH.

8 I THINK THIS IS AN IMPORTANT STEP.

9 (APPLAUSE.)

10 MS. LANSING: JUST LET ME SAY WHAT I LIKE  
11 ABOUT THIS IS THAT, YOU KNOW, HAVING WORKED WITH  
12 THIS GROUP FOR TWO YEARS, HAVING WORKED WITH THE  
13 PUBLIC, IT SHOWS THAT WE REALLY ARE A WORK IN  
14 PROGRESS, THAT WE'RE CONSTANTLY LOOKING AT WHAT'S  
15 HAPPENING, WE'RE CONSTANTLY EVALUATING,  
16 REEVALUATING, AND TRYING TO DO THE BEST FOR THE  
17 PATIENT AND FOR THE SCIENCE. I THINK THIS IS REALLY  
18 QUITE EXCITING.

19 DR. TROUNSON: BERNIE AND SHERRY AND  
20 COMMITTEE, REALLY DO APPRECIATE THE CLARITY OF THE  
21 PROPOSAL AND THE ABILITY FOR US TO MOVE ALONG IN  
22 THESE STEPS. SMALL AS THEY MIGHT BE, THEY'RE VERY  
23 SIGNIFICANT IN US ACHIEVING OUR MISSION. SO THANK  
24 YOU VERY, VERY MUCH.

25 MS. LANSING: THANK YOU.

## BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: AND NOW I'D LIKE TO ACTUALLY  
2 MOVE US FORWARD TO THE NEXT AGENDA ITEM. IT'S  
3 ACTUALLY A REPORT FROM THE CALIFORNIA DEPARTMENT OF  
4 PUBLIC HEALTH, WHICH, AS YOU KNOW, IS RESPONSIBLE  
5 FOR THE OVERSIGHT OF ALL STEM CELL RESEARCH IN  
6 CALIFORNIA NOT FUNDED BY CIRM, AND THEY'VE ACTUALLY  
7 BEEN WORKING VERY HARD ON REPORTING REQUIREMENTS FOR  
8 OOCYTE DONATION. SO WE ARE VERY THANKFUL TO SHABBIR  
9 COMING FROM SACRAMENTO TODAY.

10 MR. AHMAD: FIRST OF ALL, I WANT TO THANK  
11 YOU AND THE WORK GROUP WILLING TO GIVE THE  
12 DEPARTMENT THE OPPORTUNITY TO SHARE THE REQUIREMENTS  
13 OF REPORTING FOR THE HUMAN EMBRYONIC STEM CELL  
14 RESEARCH AS WELL AS ASSISTED OOCYTE PRODUCTION FOR  
15 RESEARCH FROM THIS COMMITTEE.

16 AND I ALSO WANT TO ENCOURAGE THAT THERE  
17 SHOULD BE MORE AND MORE COLLABORATION BETWEEN CIRM  
18 AND THE DEPARTMENT OF THE PUBLIC HEALTH IN TERMS OF  
19 THE STEM CELL RESEARCH BECAUSE I WAS IN FRONT OF ONE  
20 SENATE BUDGET SUBCOMMITTEE, AND THE QUESTION WAS  
21 ASKED HOW YOU INTERACT WITH EACH OTHER ON STEM CELL  
22 RESEARCH. AND I THANK DR. GEOFF LOMAX TO INITIATE  
23 THAT PROCESS AND ATTENDING THE ADVISORY COMMITTEE  
24 MEETINGS FROM DEPARTMENT OF PUBLIC HEALTH. AND THIS  
25 IS ANOTHER EXAMPLE THAT WE ARE COLLABORATING ON

## BARRISTERS' REPORTING SERVICE

1 THESE ISSUES.

2 FROM THE OUTSET I WANT TO THANK THE  
3 ADVISORY COMMITTEE, ESPECIALLY THE CHAIR, PROFESSOR  
4 GREELEY, DR. RUBEN, THE CO-CHAIR OF THE ADVISORY  
5 COMMITTEE, DR. MAGNUS, DR. LO, THE OTHER COMMITTEE  
6 MEMBERS WHO PROVIDED GREAT INPUT, ADVICE, GUIDANCE,  
7 RECOMMENDATIONS, AND THEIR VOLUNTARY TIME TO DEVELOP  
8 THE GUIDELINES AS WELL AS THESE REPORTING FORMS,  
9 WHICH I WANT TO DISCUSS WITH YOU.

10 ALSO WANT TO THANK THE PUBLIC  
11 PARTICIPATION IN THIS PROCESS. WE RECEIVED VERY  
12 EXCELLENT SUGGESTIONS, VERY CONSTRUCTIVE CRITIQUE  
13 AND COMMENTS AND CONCERN WHILE WE WERE GOING THROUGH  
14 THE DEVELOPMENT OF THESE REPORTING FORMS. AND, OF  
15 COURSE, THE STAFF OF THE DEPARTMENT OF PUBLIC  
16 HEALTH, AS WELL AS DEPARTMENT OF PUBLIC HEALTH LEGAL  
17 AND PRIVACY OFFICERS WHO PARTICIPATED IN THE  
18 PROCESS.

19 SO WHAT I'M GOING TO DO IS JUST REFRESH  
20 OUR MEMORIES, GIVING YOU SOME OF THE STATUTORY  
21 MANDATES BY WHICH THESE REPORTING REQUIREMENTS ARE  
22 THERE, AND THEN GO OVER THAT JUST BRIEFLY WHAT  
23 PROCESS WE FOLLOWED TO DEVELOP THESE REPORTING  
24 FORMS, AND THEN GIVE A BRIEF DESCRIPTION OF THE  
25 FORMS AND THE NEXT STEPS.

## BARRISTERS' REPORTING SERVICE

1           THESE HEALTH AND SAFETY CODES, THEY WERE  
2           ENACTED THROUGH BILL SB 1260. ALL OF YOU KNOW  
3           SENATOR ORTIZ INTRODUCED THE BILL, AND IT WAS SIGNED  
4           INTO POLICY BY THE GOVERNOR. AND THERE ARE SOME  
5           REQUIREMENTS FROM SCRO COMMITTEES, FROM IRB, FROM  
6           DEPARTMENT OF PUBLIC HEALTH, IN DEVELOPING THE  
7           REVIEW REPORT FOR THE LEGISLATURE.

8           THE INFORMATION THAT IS RECEIVED WE  
9           RECEIVED THROUGH THESE FORMS FROM SCRO'S, IRB'S, AND  
10          RESEARCHERS. THE HEALTH AND SAFETY CODE  
11          125119(A)(1), ALL RESEARCH PROJECTS INVOLVING THE  
12          DERIVATION OF USE OF HUMAN EMBRYONIC STEM CELLS  
13          SHALL BE REVIEWED AND APPROVED BY A STEM CELL  
14          RESEARCH OVERSIGHT COMMITTEE PRIOR TO BEING  
15          UNDERTAKEN. AND (B), NOT LESS THAN ONCE PER YEAR A  
16          STEM CELL RESEARCH OVERSIGHT COMMITTEE SHALL CONDUCT  
17          CONTINUING REVIEW OF HUMAN EMBRYONIC STEM CELL  
18          RESEARCH PROJECTS REVIEWED AND APPROVED UNDER THIS  
19          SECTION.

20          AND THEN THE COMMITTEE IS REQUIRED TO  
21          REPORT TO THE DEPARTMENT ANNUALLY ON THE NUMBER OF  
22          HUMAN STEM CELL RESEARCH PROJECTS AND THE STATUS AND  
23          DISPOSITION OF EACH. AND I HIGHLIGHTED THE WORD  
24          "EACH" IN THIS CODE BECAUSE THERE WAS A LOT OF  
25          DISCUSSION ON WHETHER THERE SHOULD BE A REQUIREMENT

## BARRISTERS' REPORTING SERVICE

1 FOR REPORTING ON AN INDIVIDUAL PROJECT OR THERE  
2 SHOULD BE (UNINTELLIGIBLE) COMING FROM SCRO  
3 COMMITTEES OR FROM IRB'S OR RESEARCHERS. BUT WE  
4 SAID IN THE SPIRIT OF THE LAW, THAT WE NEED THE  
5 INFORMATION ON EACH OF THESE PROJECTS.

6 125119.3(B), EACH STEM CELL RESEARCH  
7 OVERSIGHT COMMITTEE SHALL ALSO REPORT TO THE  
8 DEPARTMENT REGARDING UNANTICIPATED PROBLEMS,  
9 UNFORESEEN ISSUES, OR SERIOUS CONTINUING  
10 INVESTIGATOR NONCOMPLIANCE. SO IT'S NOT ONLY THE  
11 WRITTEN STATUS DISPOSITION OF THE PROJECT, BUT ANY  
12 ISSUES RELATED TO THOSE PROJECTS NEEDS TO BE  
13 FORWARDED TO THE DEPARTMENT.

14 THE DEPARTMENT SHALL AT LEAST -- NOW, THIS  
15 IS THE RESPONSIBILITY OF THE DEPARTMENT -- SHALL AT  
16 LEAST ANNUALLY REVIEW THESE REPORTS AND WHAT HAS  
17 BEEN LEARNED OF DATA AND MAY USE THE INFORMATION  
18 COMING OUT OF THESE FORMS AND REPORTS MAY REVISE THE  
19 GUIDELINES DEVELOPED PURSUANT TO THE SECTION 125118  
20 AS IT DEEMS NECESSARY TO THE ADVISORY COMMITTEE AND  
21 THE PUBLIC PARTICIPATION.

22 THE B PART, THE DEPARTMENT SHALL PROVIDE A  
23 BIENNIAL REVIEW. WE ARE REQUIRED TO DEVELOP A  
24 REPORT, A REVIEW REPORT FOR THE LEGISLATURE ON ALL  
25 THE HUMAN EMBRYONIC STEM CELL RESEARCH ACTIVITY

## BARRISTERS' REPORTING SERVICE

1 WHICH IS NOT FULLY FUNDED BY CIRM. SO THIS IS THE  
2 RESEARCH THAT IS BEING DONE WITH THE FUNDS FROM  
3 NON-CIRM RESOURCES.

4 MR. KLEIN: COULD I ASK A QUESTION ON  
5 THAT?

6 MR. AHMAD: SURE.

7 MR. KLEIN: WHEN YOU SAY NOT FULLY FUNDED  
8 BY CIRM, IF IT'S FUNDED IN PART BY ANOTHER STATE  
9 SOURCE, BUT IF THE BALANCE OF THE FUNDING IS FROM  
10 PRIVATE OR NIH, IF IT'S A CIRM-SPONSORED GRANT, THEY  
11 WOULD BE UNDER CIRM REGULATIONS.

12 MR. AHMAD: IF THE FUNDING, AT LEAST WHAT  
13 OUR LEGAL COUNSEL HAS INTERPRETED, THAT IF THERE ARE  
14 ANY DOLLARS TO THE RESEARCH THAT ARE COMING FROM  
15 NON-CIRM RESOURCE, THEN WHETHER IT'S NIH, WHETHER IT  
16 IS A STATE FUNDING, WHETHER THERE IS A FOUNDATION,  
17 PRIVATE DONATION, PRIVATE FUNDING, THEN THESE  
18 REPORTING REQUIREMENTS WOULD APPLY.

19 MR. KLEIN: OKAY. BUT WHAT'S VERY  
20 IMPORTANT HERE IS THAT WE HAVE OUR COUNSEL TALK  
21 TOGETHER BECAUSE ON A CONSTITUTIONAL AND A STATUTORY  
22 BASIS, OUR LEGAL POSITION IS THAT IF IT'S A  
23 CIRM-SPONSORED GRANT, IF THERE'S NIH DOLLARS, IF  
24 THERE'S ANY OTHER SOURCE'S FUNDING PART OF IT, IT'S  
25 A CIRM-SPONSORED GRANT, COMES UNDER CIRM

## BARRISTERS' REPORTING SERVICE

1 JURISDICTION. SO IT IS VERY HELPFUL TO HAVE YOU  
2 HERE SO WE GET THIS COORDINATED BECAUSE THIS IS A  
3 VERY IMPORTANT LEGAL DECISION FOR US. WE CAN'T HAVE  
4 TWO DIFFERENT STANDARDS APPLYING TO THE SAME  
5 RESEARCH. AND IF IT'S A CIRM GRANT, IT'S OUR LEGAL  
6 POSITION THAT IT IS EXCLUSIVELY THE JURISDICTION OF  
7 CIRM'S REGULATORY REGIME.

8 MR. AHMAD: I THINK WE NEED TO WORK ON  
9 THAT. THIS IS BEYOND MY OPINION AT THIS MOMENT, AND  
10 THE ISSUE SHOULD BE DISCUSSED WITH THE DEPARTMENT  
11 LEGAL ADVICE AND OPINION.

12 MR. KLEIN: SO WE REALLY APPRECIATE YOU  
13 GETTING THERE SO WE CAN SOLVE THESE PROBLEMS EARLY  
14 AND HAVE GREAT COORDINATION, SO THIS IS VERY  
15 HELPFUL.

16 MR. AHMAD: OKAY GREAT. I WILL FOLLOW UP  
17 WITH DR. LOMAX, WHO WILL CONTINUE ON THAT ISSUE.

18 SO THE IRB REQUIREMENTS, THIS IS JUST  
19 REFRESHING YOU ALL FOR REMEMBERING, WRITTEN SUMMARY  
20 OF HEALTH RISKS, INFORMED CONSENT REQUIREMENTS,  
21 POSTPROCEDURE MEDICAL EXAMINATION, AND COVERAGE OF  
22 MEDICAL EXPENSES, THOSE THINGS TO BE APPROVED AND  
23 COVERED BY THE IRB ON ASSISTED OOCYTE PRODUCTION.

24 NOW, THE COMPONENTS OF THE WRITTEN RECORD  
25 OF SUBJECTS AND OOCYTES, A RESEARCH PROGRAM OR



## BARRISTERS' REPORTING SERVICE

1 PROJECT, THERE HAS BEEN A LOT OF DISCUSSION WHO  
2 SHOULD REPORT THIS FOR ASSISTED OOCYTE PRODUCTION.  
3 THE LAW SAYS THAT IT IS THE RESEARCH PROJECT OR THE  
4 PROGRAM. WE HAVE GOTTEN THE OPINION THAT IT SHOULD  
5 BE OKAY BECAUSE IF SCRO HAS GONE THROUGH THE  
6 RESEARCH PROJECT, THE SCRO COMMITTEES CAN REPORT ON  
7 THE ASSISTED OOCYTE PRODUCTION.

8 AND WHAT IS NEEDED IN THAT REPORT IS THE  
9 DEMOGRAPHICS OF THE SUBJECT, WHICH SAYS INCLUDING,  
10 BUT NOT LIMITED TO, THEIR AGE, RACE, PRIMARY  
11 LANGUAGE, ETHNICITY, INCOME BRACKET, EDUCATION  
12 LEVEL, AND THE FIRST THREE DIGITS OF THE ZIP CODE OF  
13 THE CURRENT RESIDENCE. SO THESE ARE SOME OF THE  
14 VARIABLES WHICH WE INCLUDED IN THE REPORTING FORMS.

15 SECOND, INFORMATION REGARDING EVERY  
16 OOCYTE, AGAIN, HERE THE WORD "EVERY" IS VERY  
17 IMPORTANT, THAT HAS BEEN DONATED OR USED. THIS  
18 RECORD SHOULD BE SUFFICIENT TO DETERMINE THE  
19 PROVENANCE AND THE DISPOSITION OF THOSE MATERIALS.

20 NUMBER THIRD, A RECORD OF ALL ADVERSE  
21 HEALTH OUTCOMES, INCLUDING, BUT NOT LIMITED TO,  
22 INCIDENCES AND DEGREES OF SEVERITY RESULTING FROM  
23 THE ASSISTED OOCYTE PRODUCTION OR ANY ALTERNATIVE  
24 METHOD OF OOCYTE RETRIEVAL.

25 SUBJECT PRIVACY PROVISIONS, THE HEALTH AND

## BARRISTERS' REPORTING SERVICE

1 SAFETY CODE 125342(B)(1), THE INFORMATION INCLUDED  
2 IN THE WRITTEN RECORD PURSUANT TO SUBDIVISION A  
3 SHALL NOT DISCLOSE PERSONALLY IDENTIFIABLE  
4 INFORMATION ABOUT SUBJECTS, AND SHALL BE  
5 CONFIDENTIAL, AND IS DEEMED PROTECTED BY SUBJECT  
6 PRIVACY PROVISIONS OF LAW.

7 THIS INFORMATION SHALL BE REPORTED TO THE  
8 STATE DEPARTMENT OF HEALTH SERVICES, NOW STATE  
9 DEPARTMENT OF PUBLIC HEALTH, WHICH SHALL AGGREGATE  
10 THE DATA, SHALL AGGREGATE THE DATA AND MAKE IT  
11 PUBLICLY AVAILABLE, AND THE DEPARTMENT SHALL PROVIDE  
12 PUBLIC ACCESS TO THIS INFORMATION THROUGH THE  
13 CALIFORNIA PUBLIC RECORDS ACT. AND THIS IS SECTION  
14 8 OF WHAT 1260 SAYS, THAT THIS ACT SHALL NOT BE  
15 CONSTRUED TO AMEND PROPOSITION 71 APPROVED BY THE  
16 VOTERS AT THE NOVEMBER 2, 2004, GENERAL ELECTION.

17 THIS IS JUST A SUMMARY, BUT I HAVE SAID  
18 THERE ARE SOME REQUIREMENTS FROM DEPARTMENT OF  
19 PUBLIC HEALTH, SOME FROM IRB, SOME FROM SCRO  
20 COMMITTEES, AND SOME FROM THE RESEARCHERS OF THE  
21 RESEARCH PROGRAMS.

22 THE PROCESS WE WENT THROUGH TO DEVELOP  
23 THESE TWO REPORTING FORMS WAS QUITE EXTENSIVE. THE  
24 FORMS WERE DRAFTED BASED ON INTERPRETATION OF THE  
25 STATUTES, AND THE DEPARTMENT OF PUBLIC HEALTH HUMAN

## BARRISTERS' REPORTING SERVICE

1 STEM CELL RESEARCH ADVISORY COMMITTEE MEETING WENT  
2 TO WORK ON THAT DRAFT, AND THERE WAS DISCUSSION OF  
3 THE REVISION OF THE FORMS. THERE WAS A SECOND  
4 COMMITTEE MEETING ON DECEMBER 2007 THAT ALSO  
5 INCLUDED THE PUBLIC COMMENTS, AND THE FORMS ARE  
6 FURTHER REVISED.

7 THE PUBLIC COMMENT PERIOD FROM DECEMBER TO  
8 JANUARY, WE RECEIVED MANY COMMENTS FROM UC, FROM  
9 STANFORD, FROM OTHER STAKEHOLDERS, AND WE HAD A  
10 GREAT DISCUSSION BACK AND FORTH WITH THOSE WHO  
11 PROVIDED US THE COMMENTS. AND THOSE COMMENTS WERE  
12 VERY SERIOUSLY CONSIDERED BY THE DEPARTMENT IN  
13 DEVELOPING THE FINAL FORM PROVIDED BY THE COMMITTEE.

14 THE FINAL REVIEW WAS GIVEN BY THE LEGAL  
15 SERVICES FROM THE DEPARTMENT, AND THE FORMS WERE  
16 BETA TESTED AT LEAST FROM TWO SITES. AND I WANT TO  
17 ACKNOWLEDGE DR. MARTINEZ-MASA FROM UCLA AS WELL AS  
18 DR. DAVID MAGNUS, WHO AT LEAST GAVE US SOME INPUT  
19 LIKE HOW FRIENDLY THESE FORMS ARE IN FILLING, WHAT  
20 ARE THE ISSUES, AND THEN MINOR REVISIONS WERE MADE  
21 BASED ON THEIR COMMENTS. AND FORMS WERE FINALIZED  
22 AND POSTED TWO OR THREE MONTHS AGO ON OUR WEBSITE.

23 SO THERE ARE TWO FORMS THAT HAVE BEEN  
24 DEVELOPED. ONE IS FROM THE -- THAT WOULD BE  
25 REPORTED BY THE SCRO COMMITTEE TO THE DEPARTMENT,

## BARRISTERS' REPORTING SERVICE

1 AND THE SECOND FORM -- AND THE FIRST FORM IS  
2 BASICALLY FOR HUMAN EMBRYONIC STEM CELL RESEARCH.  
3 AND THE SECOND FORM THAT IS RESEARCH INVOLVING  
4 OOCYTE RETRIEVAL. AND THAT HAS TO BE -- THE  
5 REPORT -- THE FORM NEED TO BE FILLED BY THE RESEARCH  
6 PROGRAM, RESEARCHER, OR THE SCRO COMMITTEE.

7 THE FORMS, WE HAVE THE HANDOUTS. I DON'T  
8 KNOW IF YOU HAVE WITH YOU AT THIS MOMENT. WE PUT  
9 SOME FORMS THAT WERE HERE. WE PROVIDED QUITE  
10 DETAILED INSTRUCTIONS LIKE WHAT IS THE STATUTORY  
11 AUTHORITY, REPORTING PERIOD BECAUSE THESE PROVISIONS  
12 WERE ENACTED FROM JANUARY 2007, AND THAT'S THE  
13 STARTING TIME FOR THE REPORTING ON STEM CELL  
14 RESEARCH PROJECTS, AS WELL AS ASSISTED OOCYTE  
15 PRODUCTION.

16 THE FIRST CYCLE OF REPORTING IS 18 MONTHS,  
17 AND THEN IT WOULD BE ON THE YEARLY BASIS FROM JULY  
18 1ST TO JUNE 30TH EVERY YEAR. DUE DATE FOR THE FIRST  
19 REPORTING CYCLE IS AUGUST 1ST, AND WE HAVE RECEIVED  
20 AT LEAST FIVE OR SIX FORMS FILLED BY VARIOUS SCRO  
21 COMMITTEES AND INSTITUTIONS. THE FORMS HAVE SPECIAL  
22 EXCEL FEATURES. THEY'RE VERY USER FRIENDLY, AND  
23 THEY HAVE DROP MENUS, AND THERE ARE COMMENTS  
24 INCLUDED FOR THE DETAILS PROVIDED TO THE RESEARCHERS  
25 AND THE SCRO COMMITTEE MEMBERS SO THAT THERE ARE

## BARRISTERS' REPORTING SERVICE

1 DETAILS AVAILABLE. AND THE COMPLETE FORMS ARE  
2 SUBMITTED THROUGH THE STEM CELL@CDPH.CA.GOV  
3 ELECTRONICALLY.

4 THIS IS JUST ONE SNAPSHOT ON THE FORM 1.  
5 AND IT'S JUST RESEARCH PROTOCOL, I.D.; AND THEN IF  
6 YOU SEE NO. 3, THIS RESEARCH PROJECT INVOLVES, LIKE,  
7 RESEARCH OF HUMAN EMBRYONIC STEM CELL RESEARCH IN  
8 VITRO, IN VIVO, CREATION/DERIVATION OF HUMAN  
9 EMBRYONIC STEM CELLS OR CELL LINES, USE OF HUMAN  
10 OOCYTES FOR HESC RESEARCH, USE OF HUMAN EMBRYOS FOR  
11 HESC RESEARCH. SO THIS IS JUST A DESCRIPTION WHAT  
12 INVOLVES IN THE RESEARCH PROJECT.

13 AND EACH -- NOT EACH -- WHEREVER THERE IS  
14 A FORMAL DESCRIPTION NEEDED OR INSTRUCTIONS OR  
15 INFORMATION NEEDED, WE EMBEDDED COMMENTS FOR THAT  
16 PARTICULAR CELL.

17 SO THIS IS THE SECOND PAGE OF FORM  
18 2 -- FORM 1.

19 THIS IS THE FORM 2, WHICH IS RESEARCH  
20 INVOLVING OOCYTE RETRIEVAL. AND THIS IS ON THE  
21 HORMONE TREATMENT (OOCYTE PRODUCTION/OVARIAN  
22 STIMULATION). AND WHAT IS --

23 MS. CHARO: SHOULD WE ASK A QUESTION WHILE  
24 YOU'RE GOING FORWARD? SO WHO'S FILLING OUT THIS  
25 FORM? IS IT THE STEM CELL PI, OR IS IT THE IVF DOC?

## BARRISTERS' REPORTING SERVICE

1 MR. AHMAD: THESE WOULD BE THE FORM FIRST  
2 WOULD BE FILLED BY THE SCRO COMMITTEE.

3 MS. CHARO: OKAY.

4 MR. AHMAD: THAT'S THE REQUIREMENT. THE  
5 STATUTE SAYING FOR FORM 2, WHICH IS FOR AOP, THAT  
6 SHOULD BE FILLED BY THE RESEARCH PROGRAM OR  
7 RESEARCHER.

8 MS. CHARO: BUT THE RESEARCHER WOULDN'T  
9 NECESSARILY BE ABLE TO ANSWER THESE QUESTIONS. SO  
10 NOW THE RESEARCHER HAS TO GO BACK TO THE ORIGINAL  
11 CLINIC, RIGHT?

12 MR. AHMAD: RIGHT. SO THERE HAS BEEN A  
13 LOT OF DISCUSSION ON THAT, AND THE ADVISORY  
14 COMMITTEE HAD A RECOMMENDATION THAT MAYBE THIS  
15 INFORMATION IS COMING, THE SCRO MAY -- AND SCRO  
16 MAYBE CAN'T FILL THESE FORMS, SO THAT'S A  
17 RECOMMENDATION WE ARE GOING WITH THE ADVISORY  
18 COMMITTEE.

19 AND AS THE STATUTE SAYS, THAT WHAT ARE THE  
20 UNTOWARD EFFECTS OF AOP, WHETHER THEY'RE MODERATE OR  
21 SEVERE, AND IF THERE ARE SOME SURGICAL OR CLINICAL  
22 INDICATIONS FOR OOCYTE RETRIEVAL LIKE VAGINAL  
23 BLEEDING, INTRA-ABDOMINAL BLEEDING, INTESTINAL  
24 INJURIES, INFLAMMATION, ALL THOSE.

25 SO JUST TO GIVE YOU -- SUMMARIZE, WE

## BARRISTERS' REPORTING SERVICE

1 STARTED WITH VERY ELABORATE REQUIREMENTS BASED ON  
2 JUST INITIAL EFFORT; BUT AFTER MULTIPLE REVISIONS  
3 AND REVIEWS AND PUBLIC COMMENTS, WE TRIED TO HAVE A  
4 BALANCE BY WHICH THERE IS IMPLEMENTATION OF THESE  
5 STATUTES, BUT AT THE SAME TIME IT DOES NOT IMPEDE  
6 ANY RESEARCH. WE WERE VERY MUCH CAREFUL ABOUT THAT  
7 ISSUE, THAT WE DO NOT OVERBURDEN.

8           AGAIN AND AGAIN IN THE PUBLIC COMMENTS,  
9 THE ISSUE CAME OF THE BURDEN OF IT, THAT WE DO NOT  
10 IMPOSE THROUGH THESE STATUTES ON THE SCRO COMMITTEES  
11 OR ON THE RESEARCHERS BECAUSE OF MULTIPLE LAYERS OF  
12 REPORTING BY THESE CLINICIANS OR THESE COMMITTEES OR  
13 MEMBERS. SO WE KEPT IT TO THE MINIMUM WHAT IS  
14 REQUIRED BY LAW THAT WAS INCLUDED.

15           SO I WOULD END WITH THAT. THESE FORMS,  
16 THIS IS THE FIRST YEAR. AND WE WOULD LEARN FROM THE  
17 PROCESS. IF THERE ARE SOME DIFFICULTIES OR THERE  
18 ARE FURTHER COMMENTS OF CONCERN FROM THE  
19 RESEARCHERS, SCRO COMMITTEES, I THINK THAT WE WILL  
20 COLLECT THOSE, WE WILL PUT FORWARD IN FRONT OF THE  
21 ADVISORY COMMITTEE. AND IF THERE IS A NEED TO  
22 REVISE THESE FORMS FURTHER, WE WILL -- WE ARE OPEN  
23 TO THAT. AND WE ARE ALSO STARTING THE PROCESS BY  
24 WHICH THESE FORMS WOULD BE PROMULGATED THROUGH  
25 REGULATIONS.

## BARRISTERS' REPORTING SERVICE

1 MS. CHARO: MR. CHAIR, ANOTHER QUESTION  
2 ABOUT THE REPORTING. SO I'M GOING TO PRESUME THAT  
3 ALL OF THE WOMEN WHO ARE BEING RECRUITED TO DONATE  
4 OOCYTES UNDER THIS SYSTEM ARE CONSENTING TO HAVE ALL  
5 OF THIS MEDICAL INFORMATION FORWARDED ONTO  
6 INVESTIGATORS, TO STATE COMMITTEES, AND OTHER BODIES  
7 RIGHT. SO I GUESS THE FIRST QUESTION IS HOW IS THE  
8 MEDICAL CONFIDENTIALITY MANAGED?

9 AND SECOND, IS THIS INFORMATION ACTUALLY  
10 PUT INTO HER MEDICAL RECORD, WHICH WOULD RAISE  
11 QUESTIONS ABOUT PREEXISTING CONDITION REPORTING TO  
12 INSURANCE COMPANIES AND SUCH? I KNOW WE'RE  
13 CONCERNED ABOUT THE MEDICAL RISKS OF OOCYTE  
14 DONATION, BUT IN SOME WAYS THE FINANCIAL RISKS MIGHT  
15 ACTUALLY EXCEED THE MEDICAL RISKS.

16 MR. KLEIN: I THINK THIS IS A VERY  
17 IMPORTANT ISSUE THAT DR. CHARO IS RAISING. WE'VE  
18 BEEN TRYING TO BE VERY CAREFUL ABOUT HIPAA. WE'VE  
19 BEEN TRYING TO BE VERY CAREFUL ABOUT NOT PREJUDICING  
20 THE MEDICAL RECORD OF THE WOMAN THAT'S INVOLVED.  
21 AND HAVING THIS LEVEL OF DETAIL DISTRIBUTED TO  
22 ANOTHER INSTITUTIONAL LEVEL IS A REAL RISK ISSUE FOR  
23 THE WOMEN INVOLVED. SO THIS RAISES A CONCERN.

24 MR. AHMAD: WE WENT TO OUR LEGAL AS WELL  
25 AS OUR PRIVACY OFFICIALS, AND THEY ARE -- THEY'RE



## BARRISTERS' REPORTING SERVICE

1 COMFORTABLE THAT THIS INFORMATION COMING TO THE  
2 DEPARTMENT. BUT THROUGH THE STATUTE, DEPARTMENT IS  
3 REQUIRED TO MAKE EVERY EFFORT TO NOT RELEASE ANY  
4 IDENTIFIABLE INFORMATION. RATHER, THE FORWARDING OF  
5 THE (UNINTELLIGIBLE) THAT WOULD BE PROVIDED TO THE  
6 LEGISLATURE OR TO THE PUBLIC, THAT WOULD BE  
7 AGGREGATE DATA, AND THE DEPARTMENT WILL TAKE  
8 EVERY -- MAKE EVERY EFFORT TO NOT INCLUDE ANY  
9 IDENTIFIABLE INFORMATION REGARDING THE INSTITUTION  
10 OR RESEARCHER OR THE SUBJECT WHO IS DONATING EGG FOR  
11 RESEARCH PURPOSES.

12 AND I SEE THAT THERE IS ALWAYS A  
13 POSSIBILITY, BUT THE WAY WE ARE PROVIDING THIS  
14 INFORMATION, LIKE, THROUGH ELECTRONIC SUBMISSION  
15 DIRECTLY TO THE DEPARTMENT WAS ONE WAY OF  
16 SAFEGUARDING INFORMATION COMING FROM SCRO COMMITTEES  
17 TO THE DEPARTMENT, YES.

18 MR. KLEIN: SO DOES THE PUBLIC INFORMATION  
19 ACT GIVE ACCESS TO INFORMATION IN THE ESCRO  
20 COMMITTEE IN CALIFORNIA THROUGH THE PUBLIC RECORDS  
21 ACT?

22 MR. AHMAD: I CANNOT SPEAK TO THAT, BUT I  
23 CAN --

24 MR. KLEIN: THE REASON I'M RAISING THAT  
25 QUESTION IS AT THE ESCRO ON SPECIFIC GRANTS, IF YOU

## BARRISTERS' REPORTING SERVICE

1 HAVE THAT DETAILED INFORMATION, YOU COULD  
2 POTENTIALLY THEN RELATE IT BACK TO THE PERSON.

3 MR. AHMAD: I SEE YOUR POINT. I THINK  
4 THAT'S WHAT'S CONCERNING TO LEGAL COUNSEL, THIS  
5 ISSUE.

6 MR. KLEIN: AND, MR. CHAIRMAN, MAYBE THE  
7 RESEARCH INSTITUTIONS THAT ARE HERE COULD COMMENT ON  
8 THIS.

9 CHAIRMAN LO: OKAY. ALTHOUGH THESE ARE  
10 REALLY STATE REGULATIONS, I'D RATHER THEY BE RAISED  
11 AT A STATE MEETING.

12 MR. KLEIN: WELL, THEY'RE GOING TAKING THE  
13 POSITION CURRENTLY THAT THEY APPLY TO ANY GRANT THAT  
14 WE DON'T FUND FULLY, WHICH, WHILE WE CLEARLY  
15 DISAGREE WITH THAT POSITION, I'D LIKE TO UNDERSTAND  
16 WHAT THE RESEARCH INSTITUTIONS FEEL ABOUT THE ISSUES  
17 RAISED BY DR. CHARO WITH THE PRIVACY ISSUES AND  
18 PROTECT HER CONDITIONS, MEDICAL RECORDS AND OTHER  
19 COMPLICATIONS DISPLAYED FROM THE DATA. I THINK WE  
20 HAVE SOME RESEARCH INSTITUTIONS HERE.

21 CHAIRMAN LO: UCLA, UCSF, UCSD.

22 DR. PECKMAN: ON BEHALF OF UCLA, WE'D SAY  
23 THAT CALIFORNIA DEPARTMENT OF PUBLIC HEALTH HAS COME  
24 A LONG WAY IN THIS PROCESS AND CAREFULLY CONSIDERED  
25 COMMENTS FROM US AND UC SAN DIEGO AND STANFORD

## BARRISTERS' REPORTING SERVICE

1 UNIVERSITY. ALL THREE ACADEMIC CENTERS VOICED GREAT  
2 CONCERNS ABOUT THE CONFIDENTIALITY OF PATIENTS IN  
3 THIS REPORTING PROCESS. SO THE FORMS ARE WHAT WE'VE  
4 BEEN ASKED TO COMPLETE BY STATE LAW BY THE DATE  
5 PROVIDED, AND WE'LL COMPLY WITH THE LAW.

6 I'LL SAY THIS ABOUT PUBLIC RECORDS ACT,  
7 WHICH IS, AS IT RELATES TO IRB'S, IT DOES NOT COMPEL  
8 THE IRB'S TO PROVIDE IDENTIFIABLE INFORMATION ABOUT  
9 SUBJECTS. SO I WOULD ASSUME THAT THAT COULD BE  
10 EXTRAPOLATED TO SCRO'S AS WELL. BUT WE HAVE BEEN  
11 VERY CONCERNED ABOUT THE IDENTIFIERS REQUESTED IN  
12 THAT REPORTING, SO THE PORTION OF ZIP CODE, OTHER  
13 ELEMENTS, ALL COMBINED WITH CERTAIN AREAS WITHIN THE  
14 STATE COULD CERTAINLY LEAD TO IDENTIFYING POTENTIAL  
15 PATIENTS OR PATIENTS WHO HAVE PARTICIPATED IN OOCYTE  
16 PRODUCTION FOR RESEARCH. AND WE ASKED FOR AN  
17 INDEPENDENT ASSESSMENT OF THOSE IDENTIFIERS AND  
18 WHETHER THEY COULD BE ATTACHED TO SPECIFIC  
19 INDIVIDUALS, AND WE HAVEN'T RECEIVED RESPONSE ON  
20 THAT COMMENT.

21 MR. KLEIN: THANK YOU.

22 CHAIRMAN LO: THERE IS A WAY, BY THE WAY,  
23 OF ASKING -- YOU KNOW, UNDER HIPAA THERE'S A WAY OF  
24 GETTING A BIostatistical CONSULTATION ON THE  
25 LIKELIHOOD OF REIDENTIFYING FROM IDENTIFIERS. AND

## BARRISTERS' REPORTING SERVICE

1 IT SEEMS TO ME GIVEN THE IMPORTANCE OF  
2 CONFIDENTIALITY, THIS IS AN EXTREMELY PERSONAL,  
3 PRIVATE SENSITIVE ISSUE, THAT I THINK THE COMMENTS  
4 THAT PROFESSOR CHARO, PRESIDENT KLEIN, AND OTHERS  
5 HAVE RAISED IS REALLY IMPORTANT. IT'S PSYCHOSOCIAL.  
6 IT'S REALLY A RISK TO SOMEONE.

7 DR. KIESSLING: THIS IS AN EXTRAORDINARY  
8 AMOUNT OF INFORMATION TO BE REPORTING TO A STATE  
9 AGENCY ABOUT A RESEARCH PROJECT. AND I'M WONDERING  
10 IF -- AND I'M NOT FAMILIAR WITH THE CALIFORNIA  
11 SYSTEM. DOES CALIFORNIA AS A STATE GRANT RESEARCH  
12 GRANTS IN ANY OTHER AREA OF RESEARCH? DOES  
13 CALIFORNIA HAVE A BREAST CANCER FUNDING PROGRAM?  
14 DOES IT HAVE A PROSTATE CANCER FUNDING PROGRAM?

15 DR. PECKMAN: UNIVERSITY OF CALIFORNIA  
16 DOES.

17 DR. KIESSLING: WHAT OTHER STATE --

18 MS. CHARO: I THINK THE STATE ACTUALLY  
19 DOES HAVE A BREAST CANCER RESEARCH PROJECT.

20 MR. AHMAD: THERE ARE STATE PROGRAMS WHO  
21 FUND RESEARCH, BUT ON THE STEM CELL --

22 DR. KIESSLING: NO. NO. NO. THE STATE  
23 PROGRAMS THAT FUND RESEARCH ON --

24 MR. AHMAD: LIKE OFFICE OF AIDS PROBABLY  
25 THROUGH THE UC COLLABORATION PERFORMED RESEARCH.

## BARRISTERS' REPORTING SERVICE

1 DR. KIESSLING: WHAT KINDS OF REPORTING  
2 REQUIREMENTS DOES THE DEPARTMENT OF PUBLIC HEALTH  
3 ASK OF THOSE PROGRAMS? DOES IT EVEN APPROACH THIS  
4 LEVEL OF DETAIL?

5 MR. AHMAD: IN THIS SPECIAL CASE, THIS IS,  
6 OF COURSE, THE ACTIONS, WHAT IS IN THE STATUTE, WE  
7 HAVE TO FOLLOW. THEY MAY NOT BE STATUTE FOR THE  
8 RESEARCH, LET'S SAY, FOR STATE-FUNDED CANCER  
9 RESEARCH OR STATE-FUNDED AIDS RESEARCH, BUT IN THIS  
10 SPECIFIC CASE, WE ARE GIVEN THE RESPONSIBILITY BY  
11 LAW TO HAVE THAT INFORMATION. AND THOSE VARIABLES  
12 WHICH WE ARE ASKING, THEY ARE ACTUALLY SPECIFIED IN  
13 THE LAW.

14 DR. KIESSLING: BUT, NOW, IS THIS STATUTE?  
15 IS THIS PROPOSITION 71? IS THAT THE STATUTE YOU'RE  
16 REFERRING TO?

17 MR. AHMAD: THIS IS STATE HEALTH AND  
18 SAFETY CODE, YES.

19 DR. KIESSLING: AND SO THAT STATUTE  
20 APPLIES SPECIFICALLY TO STEM CELL RESEARCH?

21 MR. AHMAD: YES. YES.

22 DR. KIESSLING: SO THIS IS A NEW LEVEL PF  
23 REPORTING REQUIRED OF STEM CELL RESEARCH THAT'S  
24 DIFFERENT FROM ALL OTHER STATE-FUNDED RESEARCH  
25 ACTIVITIES. YOU HAVE AN EXPLANATION FOR THAT?

## BARRISTERS' REPORTING SERVICE

1 MR. KLEIN: DR. KIESSLING, THIS IS -- THAT  
2 ACT SAYS SPECIFICALLY NOT TO AMEND, MODIFY, OR  
3 CHANGE ANY PORTION OF PROP 71. SO IT IS NOT  
4 INTENDED TO CHANGE WHAT WE THINK IS EXCLUSIVE  
5 JURISDICTION WHERE ANY OF OUR RESEARCH FUNDS ARE  
6 CONTROLLED. BUT I'M JUST NOW LEARNING THAT THERE IS  
7 A DIFFERENT LEGAL OPINION OUT THERE WHICH WOULD BE  
8 REALLY PROBLEMATIC BECAUSE IT WOULD MEAN OUR  
9 GRANTEES WOULD HAVE TO RESPOND TO MULTIPLE  
10 STANDARDS, WHICH, OF COURSE, DOESN'T WORK. SO WE'RE  
11 GOING TO HAVE TO RESOLVE THIS ON AN EXPEDITED BASIS.

12 DR. KIESSLING: THIS IS JUST AN  
13 EXTRAORDINARY AMOUNT OF INFORMATION THAT NEEDS TO BE  
14 REPORTED. I'M UNFAMILIAR WITH THE STATUTE THAT  
15 YOU'RE TALKING ABOUT. I WOULD BE VERY INTERESTED IN  
16 THE MOTIVATION BEHIND IT.

17 CHAIRMAN LO: THE PUBLIC MOTIVATION FOR  
18 THAT WAS TO PROTECT WOMEN WHO ARE DONATING OOCYTES  
19 FOR RESEARCH, STEM CELL RESEARCH.

20 DR. TAYLOR: BERNIE AND SHERRY AND BOB, I  
21 WOULD SUSPECT THAT THERE MAY BE SOME INTELLECTUAL  
22 PROPERTY ISSUES ASSOCIATED WITH THIS AS WELL. YOU  
23 KNOW, THE PRIVACY ISSUES ARE PARAMOUNT, BUT I THINK  
24 THIS COULD ALSO SORT OF HAVE A CHILLING EFFECT  
25 AROUND SOME OTHER ASPECTS OF WHAT WE'RE TRYING TO

## BARRISTERS' REPORTING SERVICE

1 ACCOMPLISH.

2 CHAIRMAN LO: I WANT TO THANK DR. AHMAD  
3 FOR COMING AND UPDATING US ON THE REPORTING  
4 REQUIREMENTS. PUBLIC COMMENTS. AND IF THERE ARE  
5 COMMENTS -- I WANT TO KEEP THE COMMENTS FOCUSED ON  
6 THE CIRM ASPECT BECAUSE THERE'S OBVIOUSLY A LOT OF  
7 DISCUSSION WITH DEPENDENT OF PUBLIC HEALTH. THANK  
8 YOU VERY MUCH.

9 MR. KALICHMAN: MIKE KALICHMAN, UC SAN  
10 DIEGO. JUST A BRIEF COMMENT. I AGREE WITH  
11 EVERYTHING STEVE PECKMAN SAID, AS I ALMOST ALWAYS  
12 DO. BUT RELATIVE TO CIRM, PARTICULARLY BECAUSE THIS  
13 PARTICULAR REQUIREMENT OVERLAPS CIRM FUNDING, I WANT  
14 TO PERHAPS ENCOURAGE CIRM TO THINK ABOUT SUGGESTING  
15 OTHER WAYS THAT STATE REQUIREMENT CAN BE MET. WHAT  
16 WE SEE IN THIS KIND OF INVASIVE QUESTIONING IS AN  
17 ATTEMPT TO GET INFORMATION THAT MIGHT BE USEFUL, BUT  
18 IT LOOKS MORE LIKE A RESEARCH PROJECT. THIS IS PART  
19 OF THE COMMENTS I SENT INTO THE STATE. LOOKS MORE  
20 LIKE A RESEARCH PROJECT THAT NEEDS TO BE DESIGNED  
21 PROPERLY THAN A PROJECT THAT WILL BE USEFUL FOR  
22 HELPING THE STATE UNDERSTAND WHAT'S HAPPENING. AND  
23 THERE MIGHT BE OTHER WAYS TO GET THIS INFORMATION  
24 INSTEAD OF ASKING INSTITUTIONS TO REVEAL WHAT IS  
25 CLEARLY PRETTY INVASIVE INFORMATION.

## BARRISTERS' REPORTING SERVICE

1 I HAVE ALMOST NO DOUBT AT ALL THAT WHAT WE  
2 HAVE HERE IS IDENTIFIABLE INFORMATION IN MANY CASES  
3 THAT YOU COULD TRACK BACK TO AN INDIVIDUAL. THIS IS  
4 A PERSONAL COMMENT CONCERNING UC SAN DIEGO BECAUSE  
5 WE ARE NOT YET DEALING WITH OOCYTE DONATION IN OUR  
6 RESEARCH PROJECTS.

7 CHAIRMAN LO: THANKS.

8 MR. AHMAD: I JUST WANT TO ADD ONE  
9 COMMENT. DR. LO INDICATED THAT WE NEED TO CONSULT  
10 SOME BIOSTATISTICIAN. IT'S OUR INTENT AT THIS  
11 MOMENT, IF THERE IS A NUMBER OF -- ESPECIALLY ON THE  
12 IRB, IF THERE ARE REPORTS LESS THAN FIVE, FIVE OR  
13 LESS THAN FIVE, WE WOULD NOT AGGREGATE THE DATA, AND  
14 THAT WE WILL NOT REPORT IT BECAUSE OF THE  
15 POSSIBILITY OF IDENTIFYING THE INDIVIDUAL. IF IT IS  
16 BEYOND THAT NUMBER, NOW, FIVE IS THE ONE USED BY  
17 BIOSTATISTICIANS. IF A CELL HAS, LET'S SAY, AN  
18 INDIVIDUAL OF FOUR, THREE, TWO, THAT CELL IS MASKED  
19 AND THAT INFORMATION IS NOT PROVIDED. THAT'S THE  
20 PRACTICE.

21 SO WE ARE LOOKING INTO WHAT SHOULD BE THE  
22 MINIMUM NUMBER UNDER WHICH THAT AGGREGATION OF THE  
23 DATA WOULD NOT BE DONE AND WOULD NOT BE REPORTED.  
24 SO WE DID NOT DETERMINE THAT NUMBER YET, BUT THAT IS  
25 SUBJECT TO DISCUSSION AT THE ADVISORY COMMITTEE.



## BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: ALTA.

2 MS. CHARO: WELL, FOCUSING ON CIRM FOR  
3 WHICH THE INFORMATION IS BEING DEVELOPED SO THAT,  
4 NOT THAT YOUR PROGRAM IS CONCERNED, BUT CIRM ITSELF  
5 IS VERY INTERESTED IN UNDERSTANDING AT ALL TIMES THE  
6 MOST UP-TO-DATE INFORMATION ABOUT RISKS ASSOCIATED  
7 WITH HYPERSTIMULATION.

8 ONE THING THAT I WORRY ABOUT IS THAT IT'S  
9 STILL SUCH AN INFREQUENT ACTIVITY IN THE CONTEXT OF  
10 RESEARCH, THAT EVEN WITH ALL OF THIS INFORMATION,  
11 THERE WILL NOT BE A DATA COLLECTION LARGE ENOUGH  
12 FROM WHICH ONE COULD DRAW STATISTICALLY SIGNIFICANT  
13 CONCLUSIONS. AND ALTHOUGH I HAVE NO CONTROL OVER  
14 HOW THE STATE PROCEEDS, IT DOES STRIKE ME THAT IN  
15 THE FUTURE, IF PEOPLE WERE RETHINKING THIS, AN  
16 ALTERNATIVE WAY OF GENERATING VALUABLE INFORMATION  
17 IS TO WORK THROUGH THE FDA'S ADVERSE EVENT REPORTING  
18 SYSTEM, WHICH ALREADY EXISTS AND WHERE THE FDA IS  
19 NOW FINALLY FRESHLY FOCUSED ON POSTMARKETING  
20 SURVEILLANCE, TO WORK WITH THE PHYSICIANS WHO ARE  
21 LEADERS OF THE IVF CLINICS THROUGHOUT THE STATE TO  
22 MORE COMPLETELY TRACK AND REPORT ADVERSE EVENTS  
23 ASSOCIATED WITH THE DRUGS THAT THEY'RE USING.

24 THAT DATA COULD INCLUDE CLINICAL  
25 STIMULATIONS AS WELL AS RESEARCH-ORIENTED ONES MIGHT

## BARRISTERS' REPORTING SERVICE

1 GENERATE ENOUGH DATA THAT IT WOULD BE USEFUL FOR  
2 CIRM AS WELL AS BEING, INCIDENTALY, USEFUL FOR THE  
3 DRUG MANUFACTURERS, THE FDA, AND THE INFERTILITY  
4 COMMUNITY IN GENERAL.

5 SO I UNDERSTAND THAT THIS IS A SEPARATE  
6 EFFORT, BUT I DO FIND MYSELF WONDERING IF IT WOULD  
7 BE CAPABLE OF GENERATING ENOUGH INFORMATION THAT'S  
8 USABLE TO ACCOMPLISH ALL THE PURPOSES TO WHICH CIRM  
9 WOULD LIKE TO PUT IT.

10 DR. TAYLOR: YOU'RE RIGHT. YOU'D HAVE A  
11 DENOMINATOR AS WELL AS A NUMERATOR WITH THAT  
12 APPROACH.

13 MR. KLEIN: AND, BERNIE, I'D JUST LIKE TO  
14 INDICATE MY COMMENTS WERE IN THE CONTEXT OF, A,  
15 CLEARLY A HUGE AMOUNT OF EFFORT HAS BEEN PUT INTO  
16 THIS, VERY THOUGHTFUL EFFORT, VERY SENSITIVE EFFORT.  
17 THE ISSUE IS THAT THERE MAY BE A LEVEL WHERE THE  
18 GOALS OF THIS REPORTING FLY IN THE FACE OF OTHER  
19 POLICIES THAT YOU HAVE NOT BEEN DIRECTED TO  
20 NECESSARILY WEIGH. WE ARE WEIGHING THOSE POLICIES,  
21 AND WE NEED TO SIT DOWN WITH YOU BECAUSE YOU'RE  
22 TRYING TO DO A FABULOUS JOB FOR THE STATE. WE'RE  
23 TRYING TO DO A FABULOUS JOB FOR THE STATE. AND WE  
24 HAVE THE SAME GOALS, SO WE REALLY NEED TO SIT DOWN  
25 TOGETHER.

## BARRISTERS' REPORTING SERVICE

1 MR. AHMAD: I THINK THAT THAT'S A VERY  
2 GOOD SUGGESTION THAT WE CAN HAVE BOTH THE STANDARDS  
3 SUBGROUP OR FROM HERE SOME MEMBERS AND WORKING WITH  
4 THE DEPARTMENT OF PUBLIC HEALTH ADVISORY COMMITTEE  
5 MEMBERS AND SORT OUT SOME OF THE ISSUES WHICH ARE  
6 MUTUALLY IMPEDING RESEARCH OR THEY ARE PROBLEMATIC  
7 OR THEY NEED TO BE ADDRESSED FOR THE PURPOSE OF  
8 LEGAL REASONS OR SOME OTHER REASON, PRIVACY REASONS.  
9 AND, OF COURSE, ANY RECOMMENDATIONS COMING FROM A  
10 JOINT EFFORT WOULD BE SUBJECT TO PUBLIC COMMENTS,  
11 PUBLIC PARTICIPATION, AND PUBLIC INPUT. I THINK  
12 THAT'S A GOOD IDEA.

13 DR. CSETE: I'D JUST LIKE TO SAY SOMETHING  
14 THAT IN OUR INTEREST IN FACILITATING RESEARCH, YOU  
15 DON'T WANT TO OVERBURDEN THE SCRO COMMITTEES WHO ARE  
16 UNPAID VOLUNTEERS WITH INFORMATION THAT'S NOT GOING  
17 TO HAVE A LOT OF UTILITY. SO I THINK IN THE THOUGHT  
18 PROCESSES THAT GO INTO THIS, SIMPLIFICATION SHOULD  
19 BE A BIG PART OF THE FOCUS.

20 DR. PECKMAN: I KNOW YOU WANT TO WRAP THIS  
21 UP, BUT WITH THE SUGGESTION OF GROUPING TOGETHER  
22 CIRM AND CALIFORNIA DEPARTMENT OF PUBLIC HEALTH  
23 ADVISORY COMMITTEES TO LOOK AT THIS EFFORT MORE  
24 IN-DEPTH, I WOULD STRONGLY SUGGEST, I THINK I CAN  
25 SPEAK ON BEHALF OF SAN DIEGO AND STANFORD, THAT YOU

## BARRISTERS' REPORTING SERVICE

1 INCLUDE MEMBERS ON THOSE -- ON THAT COMMITTEE WHO  
2 ACTUALLY DO THE WORK AND ARE INVOLVED ON THE GROUND  
3 LEVEL WHO CAN PROVIDE SOME INSIGHT INTO THE PLANNING  
4 PROCESS.

5 MR. KLEIN: AND FOR THE RECORD, IF YOU  
6 COULD JUST REPEAT FOR THE TRANSCRIPTED RECORD YOUR  
7 NAME AND YOUR ASSOCIATION.

8 DR. PECKMAN: STEVE PECKMAN, UCLA.

9 CHAIRMAN LO: WE CAN SEE YOU, BUT THE --

10 DR. PECKMAN: I UNDERSTAND.

11 CHAIRMAN LO: WITH THAT, THANK YOU AGAIN  
12 TO THE CALIFORNIA DEPARTMENT OF HEALTH.

13 I ACTUALLY WANT TO CONGRATULATE THE SWG ON  
14 WHAT I THOUGHT WAS A VERY STIMULATING AND, I THINK,  
15 PRODUCTIVE MEETING. I THINK WE GOT THROUGH SOME  
16 DIFFICULT ISSUES AND SORT OF MADE SOME VERY  
17 THOUGHTFUL DECISIONS AND ALSO HAVE THINGS FOR THE  
18 FUTURE. I THINK WE GAVE OURSELVES CHALLENGES WHICH  
19 GEOFF AND STAFF WILL HELP US TO ADDRESS IN THE  
20 FUTURE. I WANTED TO THANK YOU ALL FOR YOUR  
21 THOUGHTFULNESS.

22 AND I WILL BE GLAD TO ENTERTAIN A MOTION  
23 TO ADJOURN.

24 MS. LANSING: WELL, I MOVE WE ADJOURN.  
25 AND I ALSO WANT TO THANK BERNIE. YOUR LEADERSHIP IS

## BARRISTERS' REPORTING SERVICE

1 ALWAYS EXTRAORDINARY, AND THE THOUGHTFUL AND  
2 COLLABORATIVE WAY YOU BUILD CONSENSUS IS JUST  
3 REMARKABLE AND FUN TO PARTICIPATE IN.

4 I ALSO WANT TO THANK ALL THE MEMBERS OF  
5 COMMITTEE FOR THE COUNTLESS HOURS THAT THEY SPENT  
6 HERE AND THE THOUGHTFUL AND COLLEGIAL WAY WE ALL  
7 WORK TOGETHER. AND THE MEMBERS OF THE PUBLIC, WHO  
8 ONCE AGAIN, PROVE THAT YOUR INPUT WAS TERRIFIC IN  
9 GETTING US TO ADDRESS AN ISSUE THAT WE HAD QUITE  
10 HONESTLY NOT TAKEN SUFFICIENT THOUGHT.

11 MR. KLEIN: AND, SHERRY, I THINK THAT THIS  
12 WHOLE WORKING GROUP WAS DRAWN TOGETHER WITH PAT  
13 BECKER DOING ALL THE LOGISTICS, COORDINATION  
14 BRINGING THIS EXTRAORDINARY GROUP TOGETHER, AND THE  
15 PATIENT INTERFACE, WHICH I THINK MELISSA HAS BEEN  
16 SUPPORTING MATERIALS TO GET ALL OF US AS PATIENT  
17 ADVOCATES UP TO SPEED AS WE WENT FORWARD. SO WE  
18 REALLY OWE A GREAT DEAL OF THANKS TO THE STAFF ALONG  
19 WITH TAMAR PACHTER, MARIE CSETE, ALAN TROUNSON. BUT  
20 WE ALSO, I DON'T THINK, HAVE GIVEN A HAND OF  
21 APPLAUSE TO OUR SPECIAL GUESTS WHO PROVIDED THEIR  
22 TESTIMONY THROUGH TODAY AND THAT MIGHT BE  
23 APPROPRIATE.

24 (APPLAUSE.)

25 CHAIRMAN LO: BEFORE WE DO THAT, I ALSO

**BARRISTERS' REPORTING SERVICE**

1 WANTED TO THANK GEOFF LOMAX FOR HIS VERY THOUGHTFUL  
2 ANALYSIS. AND ACTUALLY SHERRY IS FAR TOO MODEST. I  
3 MEAN SHE'S REALLY BEEN THE ONE TO SORT OF GET THE  
4 ISSUES -- SHE HAS THAT WONDERFUL WAY TO SORT OF  
5 DRILL DOWN TO THE CORE ISSUES AND REALLY GET PEOPLE  
6 TO ARTICULATE THEIR CONCERNS AND THEN ADDRESS THEM  
7 IN A WAY. I JUST THINK IT'S MARVELOUS TO WORK WITH  
8 YOU, SHERRY. SO WITH THAT DO I HAVE A SECOND?

9 MS. FEIT: SECOND.

10 CHAIRMAN LO: SECOND. THANK YOU,  
11 EVERYONE. SAFE TRAVELS HOME.

12 (THE MEETING WAS THEN ADJOURNED AT  
13 3:09 P.M.)

14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

**BARRISTERS' REPORTING SERVICE**

**REPORTER'S CERTIFICATE**

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE SCIENTIFIC AND MEDICAL ACCOUNTABILITY STANDARDS WORKING GROUP OF THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

LUXE HOTEL  
11461 W. SUNSET BOULEVARD  
LOS ANGELES, CALIFORNIA  
ON  
FRIDAY, JULY 25, 2008

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE DIGITALLY RECORDED AND THEN TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152  
BARRISTER'S REPORTING SERVICE  
1072 BRISTOL STREET  
SUITE 100  
COSTA MESA, CALIFORNIA  
(714) 444-4100