

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: CROWNE PLAZA HOTEL
1177 AIRPORT BOULEVARD
BURLINGAME, CALIFORNIA

DATE: SEPTEMBER 5 AND 6, 2012
4 P.M. AND 9 A.M.

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO.: 91120 & 91121

BARRISTERS' REPORTING SERVICE

I N D E X

ITEM DESCRIPTION	PAGE NO.
1. CALL TO ORDER.	4
2. PLEDGE OF ALLEGIANCE.	4
3. ROLL CALL.	5
4. CHAIRMAN'S REPORT.	7
5. PRESIDENT'S REPORT	10
ACTION ITEMS	
6. CONSIDERATION OF APPOINTMENT OF NEW SCIENTIFIC MEMBERS OF THE GRANTS WORKING GROUP.	28
7. CONSIDERATION OF AMENDMENTS TO THE GRANTS ADMINISTRATION POLICY.	30
8. CONSIDERATION OF APPLICATIONS FOR DISEASE TEAM THERAPY DEVELOPMENT AWARDS (RFA 10-05).	35
CLOSED SESSION	
ACTION ITEMS	
10. CONSIDERATION OF APPLICATION FOR RESEARCH LEADERSHIP AWARDS (RFA 09-04).	244
11. CONSIDERATION OF ITEMS FROM THE SCIENCE SUBCOMMITTEE	232
12. CONSIDERATION OF APPLICATIONS FOR BASIC BIOLOGY IV AWARDS (RFA 11-03).	286
13. CONSIDERATION OF MINUTES FROM THE MAY 2012 AND JULY 2012 BOARD MEETINGS.	196

BARRISTERS' REPORTING SERVICE

I N D E X (CONT'D.)

15. CONSIDERATION OF PROPOSED AMENDMENTS TO THE INTELLECTUAL PROPERTY REGULATIONS	197
16. CONSIDERATION OF MODIFICATIONS TO THE EXTRAORDINARY PETITION POLICY AND ADOPTION OF ADDITIONAL INFORMATION POLICY.	378
DISCUSSION ITEM	
17. COMMUNICATIONS UPDATE.	435
ACTION ITEMS	
18. CONSIDERATION OF A RESOLUTION HONORING TED LOVE.	427
19. CONSIDERATION OF AMENDMENTS TO THE STRATEGIC PARTNERSHIP FUNDING CONCEPT PLAN.	212
20. CONSIDERATION OF ADOPTION OF AMENDMENTS TO MEDICAL AND ETHICAL STANDARDS, REGULATIONS 100060 AND 100070.	242
21. PROPOSED ADDITIONAL AGENDA ITEM: CONSIDERATION OF A RESOLUTION HONORING DAVID SERRANO-SEWELL	427

BARRISTERS' REPORTING SERVICE

1 BURLINGAME, CALIFORNIA; WEDNESDAY, SEPTEMBER 5, 2012

2 4 P.M.

3

4 CHAIRMAN THOMAS: GOOD AFTERNOON,
5 EVERYBODY. I WOULD LIKE TO CALL THIS SEPTEMBER 5,
6 2012, MEETING OF THE INDEPENDENT CITIZENS OVERSIGHT
7 COMMITTEE OF CIRM TO ORDER. MARIA, WOULD YOU PLEASE
8 LEAD US IN THE PLEDGE OF ALLEGIANCE.

9 (THE PLEDGE OF ALLEGIANCE.)

10 CHAIRMAN THOMAS: BEFORE I TURN TO MARIA
11 TO THE NEXT ITEM ON THE AGENDA, WHICH IS ROLL CALL,
12 I WOULD LIKE TO PERSONALLY WELCOME OUR NEWEST MEMBER
13 OF THE BOARD, DR. ANNE-MARIE DULIEGE, WHO JOINS
14 US --

15 (APPLAUSE.)

16 CHAIRMAN THOMAS: -- FROM A LONG CAREER IN
17 INDUSTRY, HAS GREAT EXPERTISE IN CLINICAL TRIALS,
18 AND THE PROCESS OF GETTING DRUGS OR THERAPIES
19 THROUGH THE FDA GAUNTLET, WHICH IS A SKILL THAT WILL
20 BE INCREASINGLY CALLED UPON GOING FORWARD HERE AS WE
21 PROCEED WITH OUR PROJECTS GETTING FURTHER AND
22 FURTHER TOWARDS THE CLINIC. SO, DR. DULIEGE, WE'RE
23 DELIGHTED TO HAVE YOU ABOARD AND ARE VERY HAPPY TO
24 HAVE YOU HERE. SO THANK YOU VERY MUCH.

25 DR. DULIEGE: THANK YOU TO YOU, JON, AND

BARRISTERS' REPORTING SERVICE

1 THANK YOU FOR THE ENTIRE COMMITTEE FOR WELCOMING ME.
2 AND, INDEED, I LOOK FORWARD TO CONTRIBUTION GIVEN MY
3 EXPERIENCE IN DRUG DEVELOPMENT AND AS A PEDIATRICIAN
4 AS WELL. THANK YOU.

5 CHAIRMAN THOMAS: THANK YOU. MARIA, WILL
6 YOU PLEASE CALL THE ROLL.

7 MS. BONNEVILLE: ROBERT PRICE.

8 DR. PRICE: HERE.

9 MS. BONNEVILLE: DAVID BRENNER.

10 DR. BRENNER: HERE.

11 MS. BONNEVILLE: JACOB LEVIN.

12 DR. LEVIN: HERE.

13 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

14 DR. DULIEGE: HERE.

15 MS. BONNEVILLE: MARCY FEIT. MICHAEL
16 FRIEDMAN.

17 DR. FRIEDMAN: HERE.

18 MS. BONNEVILLE: LEEZA GIBBONS.

19 MS. GIBBONS: HERE.

20 MS. BONNEVILLE: MICHAEL GOLDBERG. SAM
21 HAWGOOD.

22 DR. HAWGOOD: HERE.

23 MS. BONNEVILLE: STEPHEN JUELSGAARD.

24 DR. JUELSGAARD: HERE.

25 MS. BONNEVILLE: SHERRY LANSING. BERT

BARRISTERS' REPORTING SERVICE

1 LUBIN.
2 DR. LUBIN: HERE.
3 MS. BONNEVILLE: MICHAEL MARLETTA. LEON
4 FINE.
5 DR. FINE: HERE.
6 MS. BONNEVILLE: PHIL PIZZO. CLAIRE
7 POMEROY.
8 DR. POMEROY: HERE.
9 MS. BONNEVILLE: FRANCISCO PRIETO.
10 DR. PRIETO: HERE.
11 MS. BONNEVILLE: CARMEN PULIAFITO.
12 DR. PULIAFITO: PRESENT.
13 MS. BONNEVILLE: ROBERT QUINT. DUANE
14 ROTH. JOAN SAMUELSON.
15 MS. SAMUELSON: PRESENT.
16 MS. BONNEVILLE: JEFF SHEEHY.
17 MR. SHEEHY: HERE.
18 MS. BONNEVILLE: JONATHAN SHESTACK.
19 MR. SHESTACK: HERE.
20 MS. BONNEVILLE: OSWALD STEWARD. JONATHAN
21 THOMAS.
22 CHAIRMAN THOMAS: HERE.
23 MS. BONNEVILLE: ART TORRES.
24 MR. TORRES: HERE.
25 MS. BONNEVILLE: KRISTINA VUORI.

BARRISTERS' REPORTING SERVICE

1 DR. VUORI: HERE.

2 MS. BONNEVILLE: JAMES ECONOMOU.

3 CHAIRMAN THOMAS: THANK YOU, MARIA. WE'LL
4 PROCEED NOW TO THE CHAIR'S REPORT. FIRST AND
5 FOREMOST ON THAT, FOLLOWING ON THE INTRODUCTION OF
6 DR. DULIEGE, I WOULD LIKE TO NOTE THAT OUR COLLEAGUE
7 JEFF SHEEHY HAS BEEN REAPPOINTED AND OFFICIALLY
8 SWORN IN FOR HIS NEXT TERM. AND WE'RE DELIGHTED TO
9 HAVE THAT GOOD NEWS IN THE BANK AND LOOK FORWARD TO
10 MANY MORE YEARS OF GREAT PARTICIPATION AND INPUT BY
11 JEFF. SO, JEFF, CONGRATULATIONS.

12 MR. SHEEHY: THANK YOU.

13 CHAIRMAN THOMAS: I WOULD ALSO LIKE TO
14 NOTE, AS YOU RECALL, DR. TED LOVE PREVIOUSLY HAD
15 RESIGNED FROM THE BOARD. JOINING HIM IN RESIGNING
16 IS DAVID SERRANO-SEWELL, WHO RECENTLY WAS
17 APPOINTED -- ART, WOULD YOU LIKE TO SPEAK TO HIS
18 APPOINTMENT FOR JUST A SECOND?

19 MR. TORRES: YES. HE WAS APPOINTED BY THE
20 GOVERNOR LAST WEEK TO THE CALIFORNIA MEDICAL QUALITY
21 ASSURANCE BOARD. AND I THINK IT'S A PERFECT
22 LOCATION FOR DAVID, AND I THINK HE'S GOING TO
23 CONTRIBUTE TREMENDOUSLY IN THAT AREA.

24 CHAIRMAN THOMAS: BOTH TED AND DAVID WILL
25 BE HERE TOMORROW TO RECEIVE THEIR RESOLUTIONS AND

BARRISTERS' REPORTING SERVICE

1 DUE PRAISE FOR ALL THE MANY YEARS OF GREAT SERVICE
2 TO THE BOARD.

3 OVER THE PAST FEW WEEKS, AS YOU RECALL,
4 OUR LAST MEETING WAS IN LATE JULY, OR ACTUALLY I
5 STAND CORRECTED, OUR LAST IN-PERSON MEETING WAS LATE
6 JULY. WE HAD A SUBSEQUENT BOARD MEETING DEALING
7 WITH A COUPLE OF AGENDA ITEMS THAT WAS TELEPHONIC IN
8 THE INTERIM, WHICH WERE ITEMS THAT WERE LEFT OVER
9 FROM THE JULY AGENDA.

10 WE HAVE HAD ALSO A MOST RECENT MEETING OF
11 THE CLINICAL DEVELOPMENT ADVISORY PANEL WHICH, AS
12 YOU RECALL, EVALUATES PROGRESS REPORTS ON OUR FIRST
13 ROUND OF DISEASE TEAMS. OBVIOUSLY WE'LL EVALUATE
14 THE SECOND ROUND AS WELL DOWN THE ROAD, BUT THIS WAS
15 THE LATEST INSTALLMENT OF THAT. WE HAD A NUMBER OF
16 OUR DISEASE TEAMS PRESENTED. AND UNDER THE GUIDANCE
17 OF DR. FEIGAL, AN EXPERT PANEL GAVE GREAT INPUT AND
18 SUGGESTION TO THE PROJECTS AND PI'S WHO WERE THERE
19 TO PRESENT THEM, AND I THINK CONTINUES TO BE A VERY
20 VALUABLE EVALUATIVE TOOL WITH WHICH WE CAN MEASURE
21 HOW OUR HARD-EARNED STATE DOLLARS ARE BEING UTILIZED
22 TOWARDS THE PARTICULAR PROJECTS AND POTENTIAL
23 THERAPIES AND CURES IN QUESTION. WE HAVE A COUPLE
24 MORE IN THIS MOST RECENT SERIES OF THE CLINICAL
25 DEVELOPMENT ADVISORY PANEL MEETINGS COMING UP IN THE

BARRISTERS' REPORTING SERVICE

1 COMING WEEKS.

2 WE HAD OCCASION, MARIA AND I ACTUALLY
3 ATTENDED A MEETING OF THE BIOTECH FOUNDATION IN
4 CALIFORNIA ENDOWMENT, WHICH WAS DESIGNED TO SORT OF
5 MEASURE WAYS TO IMPROVE THE LOT OF CALIFORNIA'S
6 BIOTECH INDUSTRY AND WAYS TO ADVANCE THE CAUSE.
7 THERE WERE MANY IN ATTENDANCE, AND WE HAD A VERY
8 ROBUST DISCUSSION ON A LOT OF VERY INTERESTING
9 TOPICS. AND I EXPECT THAT THERE WILL BE A REPORT
10 GENERATED FROM THAT WHICH I WILL DISTRIBUTE IN DUE
11 COURSE TO THE BOARD FOR ITS REVIEW.

12 SENATOR TORRES AND I HAD A GOOD BRIEFING
13 MEETING WITH THE LIEUTENANT GOVERNOR WHERE WE
14 BROUGHT HIM UP TO SPEED ON THE LATEST DEVELOPMENTS
15 AT CIRM OVER THE PAST FEW MONTHS. AND I THINK,
16 SENATOR, I CAN ACCURATELY CONVEY TO THE BOARD THAT
17 THE LIEUTENANT GOVERNOR WAS VERY IMPRESSED WITH THE
18 WORK THAT EVERYBODY IS DOING HERE AND DELIGHTED THAT
19 PROGRESS IS BEING MADE ON A WHOLE HOST OF FRONTS.

20 IN ADDITION TO THAT, WE HAD A NUMBER OF
21 NEW BOARD MEMBER OR ALTERNATE BOARD MEMBER BRIEFINGS
22 WHICH WENT VERY WELL.

23 ON THE IOM FRONT, WE ARE, AS YOU KNOW,
24 WELL INTO THE PROCESS OF THE IOM DOING A
25 COMPREHENSIVE REVIEW OF CIRM. THEY ARE FINALIZING

BARRISTERS' REPORTING SERVICE

1 ACTUALLY THE DRAFT REPORT WHICH IS NOW APPROACHING
2 COMPLETION. WE EXPECT THAT THAT DRAFT WILL BE
3 REVIEWED BOTH INTERNALLY AND EXTERNALLY OVER THE
4 NEXT COUPLE OF MONTHS AND THAT WE WILL HAVE A FINAL
5 REPORT READY TO BE DELIVERED, WE HOPE AND PLAN, TO
6 THE BOARD BY A MEMBER OF THE IOM AT OUR DECEMBER
7 12TH BOARD MEETING IN LOS ANGELES.

8 I DO WANT TO MAKE NOTE ON THE BOARD
9 MEETING, ON THE SUBJECT OF BOARD MEETINGS, OUR
10 OCTOBER MEETING, WHICH WAS ORIGINALLY SCHEDULED IN
11 IRVINE, IS NOW GOING TO BE UP HERE AGAIN. WE HAVE
12 THE STRATEGIC PARTNERSHIP FUND, WHICH IS GOING TO BE
13 DISCUSSED AT THAT MEETING, AND WE'VE DECIDED THAT
14 SINCE SO MANY OF OUR HIGHLY CAPABLE STAFF NEED TO
15 ATTEND MEETINGS IN WHICH WE'RE DISCUSSING OUR RFA'S
16 AND THE PROJECTS, THAT IT'S BEST TO HAVE IT UP HERE
17 TO SAVE MONEY. SO REGARDLESS OF WHAT YOUR CALENDARS
18 MAY SAY, OCTOBER IN SAN FRANCISCO, WHICH, OF COURSE,
19 IS A VERY NICE TIME OF YEAR.

20 SO WITH THAT, I THINK I WILL NOW TURN IT
21 OVER TO DR. TROUNSON WHO WILL GIVE THE PRESIDENT'S
22 REPORT, AND WE WILL PROCEED FORTHWITH THEREAFTER
23 WITH ALL DELIBERATE SPEED TO THE REST OF THE AGENDA.
24 DR. TROUNSON.

25 DR. TROUNSON: THANK YOU VERY MUCH, CHAIR.

BARRISTERS' REPORTING SERVICE

1 I WON'T HOLD YOU UP TOO LONG FROM THAT IMPORTANT,
2 INTERESTING DISCUSSION I'M SURE EVERYONE IS LOOKING
3 FORWARD TO, BUT I WANTED TO SHARE WITH YOU A LITTLE
4 BIT OF WORK ON THE HEART. I'VE JUST BEEN THROUGH AN
5 EXERCISE MYSELF WHICH WAS EXTREMELY CHALLENGING. I
6 SPENT FOUR OR FIVE DAYS IN THE BRAZILIAN SWAMPS,
7 ALLIGATOR, CROCODILE INFESTED SWAMPS; BUT THEN I HAD
8 ANOTHER FOUR DAYS IN LOS ANGELES AT DISNEYLAND AND
9 UNIVERSAL STUDIOS. I CAN TELL YOU MY HEART STOOD UP
10 TO EVERYTHING THAT WAS THROWN AT IT. CALIFORNIANS
11 SCREAMING, EVERYBODY SCREAMING, FALLING DOWN 12
12 FLIGHTS IN THESE CRAZY HOTELS, MY HEART STOOD UP TO
13 THIS REALLY WELL. AND I DIDN'T THINK IT COULD
14 REALLY PUT UP. WHOEVER GOES ON THESE JAUNTS INTO
15 THAT AREA, THAT'S A VERY WILD PLACE, LOS ANGELES.
16 I'M GLAD I SURVIVED IT.

17 BUT I THOUGHT IN LIEU OF THE DISCUSSIONS
18 THAT I OFTEN HAVE WITH YOU ABOUT THE WORK THAT'S
19 UPCOMING, I WOULD CONCENTRATE ON THE HEART. I
20 WASN'T SURE IF MINE WAS REALLY GOING TO TAKE CARE OF
21 THAT IN BRAZIL AND LOS ANGELES, BUT IT SURVIVED IT.

22 SO I WANT TO BRING A FEW STUDIES HERE. AS
23 I SAID, IT'S CONCENTRATING ON THE HEART. BUT THIS
24 FIRST STUDY WAS PUBLISHED IN *SCIENCE TRANSLATIONAL*
25 *MEDICINE*. AND IT'S A REALLY INTERESTING STUDY, I

BARRISTERS' REPORTING SERVICE

1 THINK. IT INVOLVED THE SELF-ASSEMBLING NANOFIBERS
2 THAT WERE PUT TOGETHER WITH VEG-F, WHICH IS A
3 VASCULAR ENDOTHELIAL GROWTH FACTOR.

4 THESE SELF-ASSEMBLING FIBERS WERE INJECTED
5 INTO TWO ANIMALS, RATS AND IN PIGS, AROUND THE
6 BORDER ZONE OF A MYOCARDIAL INFARCT. WHEN THEY'D
7 DONE THAT AND THEY LOOKED 28 DAYS LATER IN RATS,
8 THEY SHOWED SIGNIFICANTLY IMPROVED CARDIAC FUNCTION
9 THAT PREVENTED TISSUE REMODELING. THE TISSUE
10 REMODELING IS A REALLY BAD PART OF MYOCARDIAL
11 INFARCT. ALSO PREVENTED COLLAGEN DEPOSITION AND
12 SCAR FORMATION, REDUCING THE INFARCT SIZE.

13 IN PIGS THEY HAD ALSO CONFIRMED THIS DATA.
14 AND THE VEG-F WAS PROMOTING VERY STRONGLY
15 ARTEROGENESIS, RECRUITING MYOFIBRILS AND
16 CARDIOMYOCYTES TO THE DAMAGED MUSCLE. SO I THINK
17 IT'S AN ENCOURAGING APPROACH USING A NANOTECHNOLOGY
18 AND A GROWTH FACTOR THAT YOU COULD ACTUALLY USE
19 TOGETHER WITH CELLS.

20 SO SHOWN ON THE LEFT-HAND SIDE IS WORK
21 WITH THE RAT, AND ON THAT LEFT-HAND PANEL, IF YOU'RE
22 LOOKING AT IT, NOT OVER YOUR SHOULDER, BUT IF YOU'RE
23 LOOKING FORWARD, AND AT THE BOTTOM LEFT-HAND PANEL
24 IS WHERE THEY'VE USED NANOFIBERS PLUS THE VEG-F.
25 AND YOU CAN SEE THAT THE CARDIOMYOCYTES ARE THERE IN

BARRISTERS' REPORTING SERVICE

1 MUCH MORE ROBUST FASHION. AND IF YOU LOOK AT THE
2 PIG STUDIES, AGAIN, EVERY TIME YOU LOOK AT CARDIAC
3 FUNCTION IN THESE ANIMALS, AND THE TOP ROW IS REALLY
4 SHOWING YOU A MEASURE OF CARDIAC FUNCTION ON THE TOP
5 ROW UNDER THE PIGS. STILL ON THE TOP ROW, BUT ON
6 THE RIGHT-HAND SIDE IS SCAR SIZE. SO THE SCAR SIZE
7 IS SMALLER. THE CARDIAC FUNCTION IS BETTER.

8 AND IF YOU LOOK AT CAPILLARY DENSITY ON
9 THE BOTTOM, ON THE RIGHT-HAND SIDE OF EACH ONE OF
10 THOSE GRAPHS AT THE BOTTOM UNDERNEATH THE PIG IS
11 WHERE THEY USED THE COMBINED THERAPY. AND SO IN
12 EACH SITUATION IT LOOKED IN BOTH ANIMALS LIKE VERY
13 POSITIVE TO DO THIS. AND THIS IS SELF-ASSEMBLING
14 GELS, VERY, VERY CLEVER. AND THEY INJECTED IT AND
15 IT FORMS A GEL, BUT THOSE NANOFIBERS, THEY ACTUALLY
16 FORM VERY TOUGH GEL FOR WHICH THEN ATTRACTS IN THESE
17 CELLS AND ATTRACTS IN CELLS WHICH FORM CAPILLARIES
18 AS WELL AS CELLS WHICH FORM CARDIOMYOCYTES.

19 THERE'S ALSO A SECOND STUDY THAT I PICKED
20 OUT WHICH WAS IN *CELL STEM CELL*, AND IT'S WORK THAT
21 WAS DONE AT SANFORD BURNHAM INSTITUTE WITH MARK,
22 MERCOLA'S GROUP USING A SMALL MOLECULE, MEDIATED
23 TGF-BETA-TYPE RECEPTOR DEGRADATION, WHICH PROMOTES
24 CARDIOGENESIS IN EMBRYONIC STEM CELLS.

25 SO THE ESSAY WAS AN ES CELL ASSAY FOR

BARRISTERS' REPORTING SERVICE

1 EXPRESSING THE MYO-GFP CARDIAC MARKER WHICH WAS USED
2 IN HIGH THROUGHPUT SCREEN TO SMALL MOLECULE LIBRARY
3 TO IDENTIFY A MOLECULE THAT IS AN INDUCER OF TYPE 2
4 TGF-BETA RECEPTOR DEGRADATION. SO THAT HAS THAT
5 ITD 1. AND EFFECTIVELY CLEANS THE RECEPTOR FROM THE
6 CELL SURFACE, WHICH SELECTIVELY INHIBITS CALCIUM
7 SIGNALING. AND THEN IT SELECTIVELY ENHANCES THE
8 DIFFERENTIATION OF ANY UNCOMMITTED MESODERM
9 PROGENITORS INTO CARDIOMYOCYTES. IT'S A REALLY
10 CLEVER CELL WHICH IS CLEANING OFF A RECEPTOR ON THE
11 SURFACE THAT ALLOWS THE CELLS TO -- MORE OF THE
12 CELLS IN YOUR POPULATION TO TURN TO CARDIOMYOCYTES.
13 INSTEAD OF ONLY GETTING 25 OR 30 PERCENT
14 CARDIOMYOCYTES, UP 60 PERCENT PLUS CARDIOMYOCYTES.
15 SO A REALLY NEAT SMALL MOLECULE THAT COULD BE USED
16 TO MAXIMIZE THE YIELD OF CARDIOMYOCYTES FROM HUMAN
17 EMBRYONIC STEM CELLS.

18 THE THIRD STUDY WAS LOOKING AT ES
19 CELL-DERIVED CARDIOMYOCYTES THAT ELECTRICALLY COUPLE
20 AND SUPPRESS ARRHYTHMIAS IN INJURED HEART. NOW, THE
21 BIG PROBLEM WITH ES CELL AND IPS CELL HUMAN CELLS,
22 IF YOU DERIVED THEM AND PUT THEM INTO RODENTS, THE
23 RODENT HEART IS BEATING THREE TIMES FASTER THAN THE
24 HUMAN HEART, AND THEY REALLY CAN'T GET THERE. MY
25 STAFF WERE AMAZED THAT MY HEART RATE COULD UP TO

BARRISTERS' REPORTING SERVICE

1 TEN, AND THAT'S PROBABLY NOT A GOOD THING, BUT YOU
2 CAN IN CULTURE GET HEART CELLS, HUMAN HEART CELLS,
3 TO MOVE UP TO ABOUT 220, 230. WHILE YOU PROBABLY
4 WOULDN'T RECOMMEND THAT FOR A LONG PERIOD OF TIME,
5 YOU CAN -- THE GUINEA PIG OPERATES ITS HEART MUSCLE
6 BEATING AT AROUND 200 TO 250, SO IT'S MUCH CLOSER TO
7 THE HUMAN THAN THE RODENT. SO THEY USED A GUINEA
8 PIG AS THE MODEL.

9 AND SO THEY WERE ABLE TO DEMONSTRATE IN
10 THESE GUINEA PIGS, USING HUMAN EMBRYONIC STEM
11 CELL-DERIVED CARDIOMYOCYTES, THAT THE ARRHYTHMIAS
12 WERE PROTECTED, SO YOU DIDN'T GET ARRHYTHMIAS, AND
13 YOU GOT THESE CELLS, THE HUMAN CELLS, BEATING
14 SYNCHRONOUSLY WITH GUINEA PIG HEART CELLS. THIS IS
15 THE FIRST TIME. THEY WON'T BEAT SYNCHRONOUSLY WITH
16 RODENT CELLS. SO THIS LOOKS LIKE A BETTER MODEL.
17 MAYBE IT WILL MAKE IT A SLOW GUINEA PIG TYPE OF
18 ANIMAL, BUT THIS LOOKS LIKE IT WORKS REASONABLY
19 WELL.

20 SO IMPROVED HEART MUSCLE FUNCTION AND
21 SIGNIFICANTLY REDUCES SPONTANEOUSLY INDUCED
22 TACHYCARDIA, IMPORTANT IN THESE ANIMALS. IN THE
23 UNINJURED ANIMAL, THERE WAS A ONE-TO-ONE HOST-GRAFT
24 CALCIUM-RELEASED COUPLING. AND IN THE INJURED
25 HEARTS, THERE WAS HETEROGENEITY. YOU WOULD EXPECT

BARRISTERS' REPORTING SERVICE

1 THAT BECAUSE SOME OF THE CELLS HAVE BEEN INJURED, SO
2 THEY WOULDN'T ALL COUPLE UP CORRECTLY.

3 SO THIS SUPPORTS FURTHER EXPLORATION OF
4 THE USE OF HUMAN EMBRYONIC STEM CELL-DERIVED
5 CARDIOMYOCYTES IN MECHANICAL AND ELECTRICAL HEART
6 REGENERATION IN THE HUMAN BECAUSE THERE'S BEEN SOME
7 CONCERNS WHEN USING THE RODENT MODEL THAT IT REALLY
8 WASN'T WORKING PROPERLY. AND I THINK YOU COULD SAY
9 THAT IF YOU CHOOSE THE RIGHT MODEL, YOU CAN GET AN
10 EFFECT.

11 AND THE LAST ONE I WANTED TO TALK TO YOU
12 ABOUT WAS A COMPARISON OF HUMAN EMBRYONIC STEM CELLS
13 WITH IPS CELLS BECAUSE THIS PAPER PROBABLY DOESN'T
14 HAVE ENOUGH DATA TO BE TOTALLY CONVINCING, BUT I'LL
15 SHOW YOU A COUPLE OF REALLY BRIEF VIDEOS WHICH WILL
16 SHOW YOU THAT THERE'S REALLY QUITE A BIG DIFFERENCE.

17 SO THEY STUDIED TWO ES CELL LINES AND TWO
18 IPS CELL LINES. NOT A LARGE NUMBER HERE. ALL THE
19 CELL LINES EXPRESS CARDIOMYOCYTE LINKAGE MARKERS,
20 ME, SP1, ILS1, AND NKX 2.5, CLASSICAL CARDIOMYOCYTE
21 LINEAGE MARKERS. THE ES CELLS HAVE WIDESPREAD
22 SARCOMERE STRIATIONS, WERE MULTILAYERED, AND SHOWED
23 RHYTHMICAL CONTRACTION FOR UP TO A YEAR IN CULTURE.
24 SO THAT'S A LONG TIME. IT'S A LONG TIME BEATING
25 AWAY IN CULTURE.

BARRISTERS' REPORTING SERVICE

1 AND THE IPS CELLS UNFORTUNATELY HAD A
2 POORER INTERNAL DIFFERENTIATOR WITH FEW SARCOMERE
3 STRIATIONS, WERE NOT MULTILAYERED, AND HAD SPORADIC
4 CONTRACTILITY. SO IF I CAN GET THIS TO WORK NOW
5 WITH A LITTLE LUCK HERE, THESE ARE THE HUMAN
6 EMBRYONIC STEM CELLS BEATING IN THE MULTILAYERED
7 FASHION THERE. AND, AGAIN, THIS IS AN EMBRYONIC
8 STEM CELL-DERIVED CLUSTER THERE BEATING AWAY. SO
9 YOU CAN SEE IT CAN BE QUITE ROBUST, AND THESE CELLS
10 WILL GO ON FOR A VERY LONG TIME. THEY LOOK LIKE
11 THEY PRODUCE LARGE NUMBERS OF THE CELLS REQUIRED,
12 AND THEY LOOK PRETTY HEALTHY, BUT THESE ARE THE IPS
13 CELLS.

14 I THINK, FIRST OF ALL, YOU CAN SEE THERE'S
15 REALLY QUITE A DIFFERENCE IN THE STRUCTURES THERE.
16 IT'S NOT MULTILAYERED. YOU CAN SEE THAT THE BEATS
17 REALLY ARE SORT OF NOT AS THEY WERE IN THE ES CELLS.
18 AND YOU CAN SEE THAT YOU GET DIFFERENT COLONIES
19 BEATING AT DIFFERENT SITES. THERE'S ONE ON THE
20 BOTTOM, AND THERE'S A COLONY UP THE TOP THERE
21 BEATING AS WELL. SO THEY'RE QUITE INDEPENDENT OF
22 ONE ANOTHER AND NOT IN SYNCHRONY. SO I THINK WITH
23 IPS CELLS WE'VE STILL GOT A WAY TO GO TO MAKE THEM
24 AS ROBUST AS EMBRYONIC STEM CELLS.

25 SO THOSE FEW PAPERS ATTRACTED MY ATTENTION

BARRISTERS' REPORTING SERVICE

1 THIS TIME.

2 THE RFA PROGRAM, THE DISEASE TEAM THERAPY
3 DEVELOPMENT, WE HOPE WE'LL COMPLETE THIS MEETING.
4 RESEARCH LEADERSHIP THIS MEETING AS WELL. BASIC
5 BIOLOGY IV, THE ICOC FUNDING DECISION THIS MEETING.
6 SO GOT A LOT OF DECISIONS TO MAKE.

7 GENOMIC INITIATIVE, THE RFA WAS POSTED IN
8 AUGUST AND THE WEBINAR ON SEPTEMBER 11TH. SO WE'RE
9 GETTING CLOSE TO THAT. EARLY TRANSLATIONAL IV, THE
10 RFA POSTING WILL BE IN SEPTEMBER. STRATEGIC
11 PARTNERSHIP I AWARDS, THE GRANTS REVIEW OF
12 APPLICATIONS WILL BE IN SEPTEMBER. WE WILL BE
13 LOOKING AT -- THE GRANTS WORKING GROUP WILL BE
14 LOOKING AT THE APPLICATIONS THAT ARE SUBMITTED. NEW
15 FACULTY PHYSICIAN SCIENTIST TRANSLATIONAL RESEARCH
16 AWARD, THEY'RE IN. GRANTS WORKING GROUP WILL REVIEW
17 THOSE APPLICATIONS IN OCTOBER.

18 SO THERE'S A LOT OF WORK COMING UP ON ALL
19 THE GRANTS WORKING GROUP. AND THEN, OF COURSE, THAT
20 ALL HAS TO COME HERE. AND THE IPS CELL INITIATIVE,
21 THE GRANTS WORKING GROUP WILL BE REVIEWING THOSE
22 APPLICATIONS IN DECEMBER.

23 THERE HAVE BEEN A NUMBER OF MEETINGS AND
24 WORKSHOPS HELD SINCE THE JULY ICOC MEETING,
25 INCLUDING THE CREATIVITY AWARDS ANNUAL POSTER DAY.

BARRISTERS' REPORTING SERVICE

1 UPCOMING, CIRM WEBINAR ON IMMUNE RESPONSE IN STEM
2 CELL-BASED THERAPY. LEADING EXPERTS FROM FDA,
3 INDUSTRY, ACADEMIA. THAT'S ON SEPTEMBER 27TH IF
4 ANYBODY IS INTERESTED IN LISTENING IN. CIRM'S
5 COLLABORATIVE FUNDING PARTNER WORKSHOP IN BRAZIL IN
6 OCTOBER 1ST TO 2D IN SAO PAULO. SO THAT'S PRETTY
7 WELL NOW ORGANIZED. CIRM-FDA ROUNDTABLE ON BEST
8 PRACTICES IN CLINICAL DESIGN FOR FIRST-IN-HUMAN STEM
9 CELL-BASED THERAPY IS ON OCTOBER 16TH IN ROCKVILLE.

10 CIRM'S ALPHA CLINICS WORKSHOP WILL BE ON
11 NOVEMBER 14 TO 15 IN PALO ALTO. SO HOPEFULLY SOME
12 OF THE PEOPLE WILL COME ALONG. I THINK JEFF HAS
13 INDICATED AN INTEREST, BUT OTHERS, SO TOO ART. IT
14 SHOULD BE REALLY, REALLY INTERESTING, I THINK.

15 CIRM GRANTEE MEETING MARCH NEXT YEAR,
16 WE'RE GIVING PLENTY OF WARNING, 6TH TO THE 8TH.
17 BEST STEM CELL MEETING IN THE WORLD STILL. THE
18 CIRM-NIH PARKINSON'S DISEASE MEETING WILL BE IN
19 MARCH NEXT YEAR.

20 THE CREATIVITY AWARDS HELD AT STANFORD, 65
21 SCIENTIFIC POSTERS PRESENTED BY HIGH SCHOOL INTERNS
22 FROM NINE FUNDED CALIFORNIA INSTITUTIONS. THERE ARE
23 FANTASTIC YOUNG PEOPLE THERE. 150 ATTENDEES,
24 INCLUDING STUDENT INTERNS, PROGRAM DIRECTORS, PI'S,
25 MENTORS, AND CIRM STAFF. IT WAS JUST A TERRIFIC

BARRISTERS' REPORTING SERVICE

1 DAY. AND BEING AROUND THESE YOUNG STUDENTS AND
2 THEIR POSTERS, THEY LOOK LIKE THEY WERE PH.D.
3 STUDENTS TO ME, NOT HIGH SCHOOL INTERNS. THEY
4 REALLY ARE FIRED UP. AND I THINK EACH OF THE
5 UNIVERSITIES AND MEDICAL CENTERS THAT ARE WORKING
6 WITH THESE STUDENTS, I THINK, HAVE DONE A FANTASTIC
7 JOB ON THEM. SO THANK YOU VERY MUCH, ALL OF YOU,
8 FOR LOOKING AFTER THESE KIDS. THEY LOVE IT. THEY
9 LOVE THAT SUMMER PROGRAM.

10 AND THEY HAD KGO-TV SCIENCE REPORTER THERE
11 WHO INTERVIEWED CIRM, MANI VESSAL, AND SELECTED
12 STUDENTS FROM CHORI AND UCSF. THE STORY WILL BE ON
13 AIR SHORTLY.

14 THE ALPHA CLINICS WORKSHOP, THE GOAL IS TO
15 DEFINE WHAT CLINICAL CAPACITY IS NEEDED TO
16 ACCELERATE DEVELOPMENT OF SAFE, EFFECTIVE, AND
17 ACCESSIBLE CELL THERAPIES. PARTICIPANTS ARE GOING
18 TO INCLUDE A RANGE OF STAKEHOLDERS, INVESTIGATORS
19 FROM ACADEMIA AND INDUSTRY, CLINICAL TRIAL
20 SPECIALISTS, CELL MANUFACTURERS, PATIENT ADVOCATES,
21 REPRESENTATIVES FROM FUNDING AGENCIES, INSURERS,
22 HEALTHCARE PROVIDERS, PHARMACEUTICAL INDUSTRY, AND
23 INVESTORS. SO NATALIE DEWITT HAS BEEN BUSILY
24 ARRANGING THIS, AND I THINK SHE'S GOT A PRETTY FULL
25 PROGRAM FOR TWO DAYS WORKED OUT.

BARRISTERS' REPORTING SERVICE

1 IN THE BUSINESS DEVELOPMENT, THE STRATEGIC
2 PARTNERSHIP FUNDING RFA, THE FIRST ONE, IS UPCOMING
3 AND WILL BE REVIEWED SEPTEMBER 12TH TO 14TH. SO
4 WE'RE ALL LOOKING FORWARD TO THAT. IT WILL BE A
5 LITTLE DIFFERENT BECAUSE THESE ARE ALL COMPANIES IN
6 THIS PARTICULAR AWARD WHO ALL MADE IT THROUGH.
7 STRONG INTEREST SHOWN BY INDUSTRY. WE ORIGINALLY
8 HAD OVER 40 HANDS UP IN THIS. WE'VE COME DOWN, I
9 THINK, TO 11 APPLICATIONS IN THE END.

10 A LONG-TERM FOCUS CONTEMPLATES
11 REPLENISHMENT AND A NUMBER OF ROUNDS CONTINUING
12 BECAUSE THERE'S A LOT OF INTEREST IN THIS PARTICULAR
13 PROGRAM. THIS RFA IS A CORNERSTONE FOR CIRM'S
14 INDUSTRY ENGAGEMENT INITIATIVES. AND I WANT TO
15 THANK ELONA FOR SORT OF REALLY HEADING THIS OUT.
16 SHE'S DONE A FANTASTIC JOB IN ACTUALLY GETTING IT
17 ALTOGETHER. AND, OF COURSE, SUPPORT BY ALL THE REST
18 OF THE SCIENCE STAFF, BUT ELONA REALLY SORT OF PUT
19 HER HEART BEHIND THIS.

20 ON A COMMERCIALIZATION UPDATE, I THOUGHT
21 YOU'D JUST BE INTERESTED THAT WE'VE BEEN TRACKING OR
22 STARTED TO TRACT SPIN-OUTS ARISING IN WHOLE OR PART
23 FROM CIRM FUNDING. AND THERE ARE EIGHT COMPANIES
24 BEEN IDENTIFIED ALREADY. THERE ARE PROBABLY SOME
25 OTHERS, AND WE'RE STILL CONTINUING TO ASSEMBLE THAT

BARRISTERS' REPORTING SERVICE

1 INFORMATION, BUT THEY'RE SHOWN THERE.

2 SO THESE ARE COMPANIES THAT ARE SPUN OUT
3 FROM THE ACTIVITIES THAT WE'VE BEEN DOING. I
4 THOUGHT WE SHOULD BRING THAT TO YOUR ATTENTION
5 BECAUSE SOMETIMES WE'RE ASKED, WELL, HOW MANY AND
6 WHERE AND WHO. AND THESE ARE THE STARTUPS THAT ARE
7 IN PLACE, AND SOME OF THOSE ARE REALLY MOVING VERY
8 EFFECTIVELY IN THEIR DEVELOPMENT. SO I THINK WE'LL
9 SEE A LOT MORE OF THESE MOVING THROUGH THE SPACE.
10 SO WE'LL TRY AND KEEP ATTENTION ON THAT AND SEE IF
11 WE CAN CONTINUE THE COLLECTION OF THE DATA BECAUSE
12 WE HAVEN'T ALWAYS TRIED TO COLLECT THIS FROM THE
13 BEGINNING, BUT WE THINK IT'S IMPORTANT TO HAVE THAT
14 AND DEMONSTRATE THAT WE'RE ALSO INITIATING SOME OF
15 THE START-UP COMPANIES.

16 I WANTED TO JUST GIVE YOU THE AWARDS
17 FORECAST BECAUSE I NEED TO REMIND ALL OF US. IN THE
18 BLUE IS WHAT WE'VE ACTUALLY ALREADY ALLOCATED. SO
19 THE YEARS ARE SHOWN ACROSS THE BOTTOM THERE. SO THE
20 ONE WITH THE GREEN BARS IS THE UNALLOCATED. SO
21 THAT'S STILL FOR YOU TO ALLOCATE, BUT YOU NOTICE THE
22 BLUE IS CONSIDERABLY MORE THAN THE GREEN. THE
23 PURPLE IS THOSE ONES THAT YOU'VE AGREED TO, BUT WE
24 REALLY HAVEN'T ADDED THE PROJECTS TO THEM YET, BUT
25 THEY'VE BEEN AGREED TO AT THE BOARD, BUT WE'RE STILL

BARRISTERS' REPORTING SERVICE

1 GOING THROUGH THE PROCESS OF AWARDING THE GRANTS.

2 SO I THINK THIS PERSPECTIVE YOU PROBABLY
3 NEED TO KEEP SOME IDEA AS WE MOVE FORWARD BECAUSE
4 YOU CAN SEE THE BLUE IS NOW STARTING TO DOMINATE.
5 WE'RE GETTING A LITTLE BIT FURTHER THAN 50 PERCENT
6 FORWARD NOW. SO THERE'S REALLY ONLY ABOUT 800, 900
7 MILLION THERE. IT'S GETTING SMALLER THAN THE 3
8 BILLION THAT WE HAD IN THE BEGINNING.

9 SO I THOUGHT IT'S IMPORTANT TO JUST KEEP
10 TRACK OF THAT SO THAT YOU'VE GOT SOME IDEA WHERE WE
11 ARE IN THE SPACE.

12 NOW, I THINK THE NEXT ONE I WANTED TO DO
13 IS TO CALL ON CHILA. CHILA IS GOING TO PROVIDE YOU
14 WITH THE FINANCE REPORT.

15 CHAIRMAN THOMAS: BEFORE CHILA SPEAKS,
16 WE'D JUST LIKE THE BOARD TO KNOW THAT VERY RECENTLY
17 CHILA HAS BEEN PROMOTED TO A POSITION OF DIRECTOR OF
18 FINANCE. SO WELCOME OUR NEWEST DIRECTOR OF FINANCE
19 TO GIVE THIS REPORT.

20 MS. SILVA-MARTIN: THANK YOU VERY MUCH.
21 THANK YOU, DR. TROUNSON. GOOD AFTERNOON, MR. CHAIR,
22 MEMBERS OF THE BOARD. I'M GOING TO GIVE YOU A BRIEF
23 REPORT ON CIRM'S FINANCES. FIRST OF ALL, I WANT TO
24 LET YOU KNOW THAT WE'VE COMPLETED THE 2011-12 FISCAL
25 YEAR IN PROCESS. IT WAS A VERY SMOOTH PROCESS, AND

BARRISTERS' REPORTING SERVICE

1 WE WERE ABLE TO SUCCESSFULLY SUBMIT OUR REPORT TO
2 THE STATE CONTROLLER'S ON TIME.

3 SO NOW TO GIVE YOU A HIGH LEVEL COMPARISON
4 OF OUR EXPENDITURES FROM THE 11-12 FISCAL YEAR TO
5 2010-11. OUR OPERATION EXPENSES TOTALED
6 \$15.4 MILLION IN 11-12 FISCAL YEAR AS COMPARED TO
7 THE PRIOR YEAR, WHICH WAS 14.1. SO THERE WAS AN
8 INCREASE OF \$1.3 MILLION IN EXPENDITURES.

9 SIMILARLY, OUR GRANT PAYMENTS IN THE 11-12
10 FISCAL YEAR WERE \$232 MILLION AS COMPARED TO THE
11 PRIOR PERIOD, WHICH WAS \$201 MILLION.

12 NOW LOOKING AT THE NEXT CHART, IT PROVIDES
13 YOU WITH A COMPARISON OF OUR 2011-12 EXPENDITURES
14 AGAINST THE BUDGET THAT WAS ALLOCATED. SO AS YOU
15 CAN SEE ON THE CHART, WE WERE ALLOCATED A TOTAL OF
16 \$18.1 MILLION, AND OUR ACTUAL EXPENDITURES CAME IN
17 AT 15.4 MILLION WITH A VARIANCE OF ABOUT \$3 MILLION.

18 WE HAD SAVINGS IN ALL OF OUR EXPENDITURE
19 CATEGORIES EXCEPT FOR ONE, AND THE MAJORITY OF THE
20 SAVINGS WAS IN THREE CATEGORIES. IT WAS IN EMPLOYEE
21 EXPENSES, IN CONTRACTING, AND IN GRANTS REVIEW. THE
22 SAVINGS IN OUR EMPLOYEE EXPENSES WERE DUE IN LARGE
23 PART TO VARIOUS VACANCIES THAT WE HAD THROUGHOUT THE
24 YEAR AND THE ASSOCIATED BENEFITS WITH THOSE
25 POSITIONS. SEVERAL VACANCIES DURING THE YEAR,

BARRISTERS' REPORTING SERVICE

1 INCLUDING A MEDICAL OFFICER POSITION. AS YOU MAY
2 RECALL, OUR DIRECTOR OF PUBLIC COMMUNICATIONS WAS
3 VACANT FOR ABOUT NINE MONTHS. OUR I.T. DIRECTOR
4 POSITION WAS VACANT TEN MONTHS. THE CHIEF FINANCE
5 OFFICER POSITION WAS ALSO VACANT FOR ABOUT FIVE
6 MONTHS. AND THEN THERE WAS A VARIETY OF OTHER
7 POSITIONS THAT WERE VACANT ANYWHERE FROM TWO TO FOUR
8 MONTHS, AND THAT REALLY RESULTED IN THE \$1 MILLION
9 SAVINGS.

10 WE ALSO HAD SAVINGS IN OUR CONTRACTING
11 CATEGORY. AND THAT WAS REALLY A RESULT OF CONTRACTS
12 THAT DID NOT MATERIALIZE OR THAT MATERIALIZED AT A
13 LOWER LEVEL, SUCH AS OUR LEGAL SERVICES. AS YOU MAY
14 RECALL, WE MADE AN EFFORT TO REDUCE OUR COSTS FOR
15 OUR ANNUAL REPORT, SO WE HAD SAVINGS FROM THAT. WE
16 HAD SAVINGS FROM A VARIETY OF OTHER CONTRACTS LIKE
17 OUR VIDEO SPOTLIGHT SERVICES AND SOME OTHER SERVICES
18 THAT DID NOT MATERIALIZE, LIKE THE CLUSTER ANALYSIS.

19 ANOTHER AREA WHERE WE HAD PRETTY
20 SIGNIFICANT SAVINGS WAS IN THE GRANTS REVIEWS. SO
21 FOR THE 11-12 FISCAL YEAR, WE HAD ACTUALLY BUDGETED
22 FOUR CLINICAL DEVELOPMENT ADVISORY PANEL REVIEWS,
23 AND WE ACTUALLY ONLY HELD THREE. AND EVEN FOR THE
24 THREE THAT WE HELD, THE COST FOR THOSE CAME IN LOWER
25 THAN WE HAD BUDGETED. AND THEN OUR COST FOR THE

BARRISTERS' REPORTING SERVICE

1 VARIOUS GRANTS WORKING GROUP REVIEWS THAT WE HAD
2 ALSO ALL CAME IN LOWER THAN WE BUDGETED.

3 SO THE SAVINGS WERE REALLY A RESULT OF THE
4 GRANTS WORK REVIEW STAFF WORKING REALLY HARD TO
5 MAINTAIN COST AT THE LOWEST POSSIBLE LEVEL, AND THEY
6 DID A REALLY GOOD JOB IN THAT AREA.

7 THERE WAS ONE AREA WHERE WE ACTUALLY DID
8 EXPEND A LITTLE BIT MORE THAN WAS BUDGETED. AS YOU
9 MAY RECALL, FOR THE 11-12 FISCAL YEAR, OUR I.T.
10 DIRECTOR WAS ACTUALLY BUDGETED IN EMPLOYEE EXPENSES.
11 IN THE PREVIOUS YEAR WE HAD CONTRACTED FOR THOSE
12 SERVICES; HOWEVER, BECAUSE WE DID NOT FILL THE
13 POSITION FOR THE I.T. DIRECTOR UNTIL MAY OF THIS
14 YEAR, WE CONTINUED TO SECURE THE I.T. DIRECTOR
15 SERVICES THROUGH A CONTRACT. SO IT REALLY RESULTED
16 IN AN OVERAGE IN OUR I.T. CATEGORY WITH THE
17 CORRESPONDING SAVINGS IN OUR EMPLOYEE EXPENSES.

18 SO THEN THE NEXT SLIDE JUST GIVES YOU A
19 REAL QUICK COMPARISON OF OUR VARIOUS COSTS AS
20 COMPARED TO THE PREVIOUS YEAR. AND AS YOU CAN SEE,
21 WE DO HAVE SOME VARIANCES. AGAIN, THE BIGGEST
22 VARIANCE IS IN EMPLOYEE EXPENSES, AND THAT WAS
23 REALLY DUE TO OUR INCREASED STAFF LEVEL. WE WENT
24 FROM 46 POSITIONS IN THE 2010-11 FISCAL YEAR TO 54
25 POSITIONS IN THE 11-12 FISCAL YEAR. WE ALSO HAD

BARRISTERS' REPORTING SERVICE

1 MERIT ADJUSTMENTS IN THIS FISCAL YEAR. OUR SCIENCE
2 MEETINGS ARE HIGHER, AND IT WAS DUE TO THE WORLD
3 STEM CELL SCHOLARSHIPS THAT WE GAVE OUT THIS YEAR,
4 AS WELL AS THE GRANTEE MEETING THAT WE HOLD EVERY 18
5 MONTHS.

6 WE DID HAVE A LITTLE BIT OF SAVINGS IN OUR
7 TRAVEL BUDGET, AND THAT WAS DUE TO AN INTERNAL
8 FREEZE THAT WAS IMPOSED. AS YOU MAY RECALL, THE
9 GOVERNOR ISSUED A DIRECTIVE FOR OUT-OF-STATE TRAVEL
10 TO BE REDUCED. ALTHOUGH IT DID NOT APPLY TO US, THE
11 DIRECTIVE, IT WAS DECIDED THAT WE WOULD PARTICIPATE.
12 AND SO, THEREFORE, WE HAD SOME SAVINGS IN TRAVEL.

13 SO NOW ON TO THE CURRENT YEAR. I JUST
14 WANT TO LET YOU KNOW THAT OUR 2011-12 ANNUAL
15 FINANCIAL AUDIT IS UNDER WAY. IT'S BEING CONDUCTED
16 BY MACIAS & GINI. AND THEN LET'S SEE. OUR
17 AVAILABLE BOND CASH AS OF JULY 31ST IS 104.6
18 MILLION, WHICH IS ACTUALLY AN INCREASE OF 53.7
19 MILLION FROM JUNE 30TH. AND REALLY THE INCREASE IS
20 DUE TO A RESULT OF THE COMMERCIAL PAPER THAT WE
21 RECEIVED IN JULY.

22 AND THEN, FINALLY, I JUST WANT TO REPORT
23 THAT WE WILL PROVIDE YOU WITH A FINANCIAL STATUS FOR
24 12-13 AT OUR NEXT ICOC BOARD MEETING. THAT
25 CONCLUDES MY PRESENTATION. ARE THERE ANY QUESTIONS?

BARRISTERS' REPORTING SERVICE

1 THANK YOU.

2 CHAIRMAN THOMAS: THANK YOU, CHILA. DR.
3 TROUNSON, DOES THAT CONCLUDE YOUR REPORT?

4 DR. TROUNSON: YES, IT DOES.

5 CHAIRMAN THOMAS: THANK YOU VERY MUCH.
6 OKAY. WE'LL NOW PROCEED -- ACTUALLY BEFORE WE
7 PROCEED, LET ME JUST -- TO MANAGE BOARD EXPECTATIONS
8 ABOUT HOW THE MEETING HOPEFULLY WILL PROCEED HERE,
9 WE HAVE A LOT OF THINGS TO GET THROUGH. IT IS MY
10 GOAL TO GET THROUGH AS MUCH AS WE CAN TODAY SINCE
11 EVERYBODY IS SORT OF HERE UNTIL LATER IN THE
12 EVENING, AND TO LEAVE FOR TOMORROW ON THE AGENDA
13 ONLY THE BASIC BIO AWARDS, THE RESEARCH LEADERSHIP
14 AWARD, THE SPOTLIGHT, WHICH WILL BE AT LUNCH, AND
15 THE RESOLUTIONS FOR OUR PAST BOARD MEMBERS.
16 EVERYTHING ELSE YOU SEE ON YOUR AGENDA I'M GOING TO
17 TRY TO GET THROUGH TODAY. SO I WOULD ASK EVERYBODY
18 TO BE AWARE OF THAT AND TO PROCEED ACCORDINGLY AS WE
19 MOVE THROUGH THE VARIOUS TOPICS FOR DISCUSSION.

20 SO WE WILL START NOW WITH ACTION ITEM NO.
21 6, CONSIDERATION OF APPOINTMENT OF NEW SCIENTIFIC
22 MEMBERS OF THE GRANTS WORKING GROUP. DR. SAMBRANO.

23 DR. SAMBRANO: THANK YOU, MR. CHAIRMAN,
24 MEMBERS OF THE BOARD, MEMBERS OF THE PUBLIC. TODAY
25 WE'RE BRINGING FOR YOUR CONSIDERATION TWO NOMINEES

BARRISTERS' REPORTING SERVICE

1 FOR GRANTS WORKING GROUP MEMBERS THAT ARE BRINGING
2 KEY SCIENTIFIC EXPERTISE IN THE AREA OF TISSUE
3 ENGINEERING, MORE SPECIFICALLY RELATED TO TISSUE
4 ENGINEERING IN CARDIOVASCULAR AND EYE CONDITIONS.

5 THE NOMINEES ARE SHOWN IN YOUR TAB 6.
6 THEY ARE DR. CHRISTOPHER BREUER AND DR. MAY
7 GRIFFITH. SO WE ARE SEEKING YOUR APPROVAL AND
8 APPOINTMENT OF THESE NOMINEES AS MEMBERS OF THE
9 WORKING GROUP.

10 CHAIRMAN THOMAS: DO I HEAR A MOTION TO
11 THAT EFFECT?

12 DR. HAWGOOD: SO MOVED.

13 CHAIRMAN THOMAS: MOVED BY DEAN HAWGOOD.
14 SECOND?

15 DR. POMEROY: SECOND.

16 CHAIRMAN THOMAS: DEAN POMEROY. ANY
17 DISCUSSION BY MEMBERS OF THE BOARD? JAMES, IS THIS
18 SOMETHING WE HAVE PUBLIC COMMENT ON IF THERE IS ANY?

19 MR. HARRISON: YES.

20 CHAIRMAN THOMAS: HEARING NO FURTHER BOARD
21 DISCUSSION, ANY COMMENTS BY MEMBERS OF THE PUBLIC?
22 HEARING NONE, I DON'T BELIEVE WE NEED A ROLL CALL,
23 DO WE?

24 MR. HARRISON: JUST FOR MEMBER FEIT WHO'S
25 ON THE TELEPHONE.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: SO EVERYBODY IN THE ROOM
2 APPROVING OF THIS MOTION PLEASE SIGNIFY BY SAYING
3 AYE. OPPOSED? ABSTENTIONS? MARCY.

4 MS. FEIT: YES.

5 CHAIRMAN THOMAS: UNANIMOUSLY APPROVED.
6 THANK YOU VERY MUCH.

7 ON TO ITEM NO. 7, CONSIDERATION OF
8 AMENDMENTS TO THE GRANTS ADMINISTRATION POLICY.
9 AMY, PLEASE PROCEED.

10 MS. LEWIS: THANK YOU, MR. CHAIRMAN. GOOD
11 AFTERNOON, MEMBERS OF THE BOARD AND MEMBERS OF THE
12 PUBLIC. WE'RE ON ITEM NO. 7 IN YOUR BINDERS. AND
13 I'D LIKE TO REFER YOU TO THE MEMO THAT'S IN YOUR
14 BINDERS AND THE ATTACHED CURRENT REDLINE VERSION OF
15 THE GRANTS ADMINISTRATION POLICY FOR ACADEMIC AND
16 NON-PROFIT INSTITUTIONS, WHICH INCLUDES ALL OF THE
17 PROPOSED POLICY AMENDMENTS. I'M GOING TO WALK
18 THROUGH THOSE BRIEFLY WITH YOU.

19 AS A REMINDER, THIS POLICY, WHICH WE
20 COMMONLY REFER TO AS THE GAP, PROVIDES ALL OF THE
21 DETAILED RULES REGARDING USE OF CIRM GRANT FUNDS.
22 OUR GAP IS GENERALLY MODELED ON NIH'S GRANTS POLICY
23 STATEMENT.

24 CIRM'S GAP HAS BEEN IN EFFECT SINCE 2006,
25 AND WE MADE ONE ROUND OF AMENDMENTS TO THE POLICY IN

BARRISTERS' REPORTING SERVICE

1 2009. TO INITIATE THIS CURRENT ROUND OF AMENDMENTS,
2 WE CAME TO THE BOARD IN DECEMBER 2011 FOR APPROVAL
3 TO OPEN THE PROCESS FOR ANOTHER ROUND OF REVISIONS
4 TO THE GAP. SINCE THEN, WE'VE HAD TWO OPEN PUBLIC
5 COMMENT PERIODS DURING WHICH WE SOLICITED COMMENTS
6 FROM GRANTEES AND MEMBERS OF THE PUBLIC ON THE
7 PROPOSED POLICY CHANGES. WE ALSO HELD AN INTERESTED
8 PERSONS MEETING IN MARCH OF THIS YEAR TO GATHER
9 ADDITIONAL COMMENTS.

10 IN THE GRANTS MANAGEMENT OFFICE, WE WORK
11 WITH OUR GRANTEES EVERY DAY, AND WE BELIEVE THAT OUR
12 GRANTEES WORK VERY HARD TO COMPLY WITH OUR POLICIES.
13 IN COMMUNICATION WITH THEM, THEY PROVIDED US WITH
14 FEEDBACK ABOUT APPLYING OUR REGULATIONS TO THEIR
15 ACTIVE GRANTS AND ALSO WITH QUESTIONS ABOUT
16 ALLOWABLE ACTIVITIES UNDER CIRM-FUNDED AWARDS. THIS
17 HAS ALLOWED US TO IDENTIFY POINTS OF CONFUSION THAT
18 MIGHT BE CLEARED UP BY STREAMLINING OR CLARIFYING
19 THE LANGUAGE IN THE GAP.

20 WE'VE ALSO IDENTIFIED SOME ITEMS IN THE
21 REGULATIONS THAT ARE REASONABLE AS WRITTEN, BUT HAVE
22 CREATED UNINTENTIONAL ADMINISTRATIVE BURDEN ON OUR
23 GRANTEES WITH NO CORRESPONDING BENEFIT TO CIRM'S
24 MISSION.

25 SOME OF THE AMENDMENTS THAT WE'RE

BARRISTERS' REPORTING SERVICE

1 PROPOSING ALSO REFLECT THE INCREASED CAPABILITIES OF
2 OUR ONLINE GRANTS MANAGEMENT PORTAL WHICH HAS
3 REDUCED THE NEED FOR PAPER AND PDF REPORT FORMS AND
4 ALLOWED FOR MORE STREAMLINED REPORTING.

5 SO I'D LIKE TO QUICKLY MENTION A FEW OF
6 THE SUBSTANTIVE CHANGES THAT WE'RE PROPOSING.
7 FIRST, WE PROPOSE THE ADDITION OF SEVERAL NEW
8 DEFINITIONS. THOSE ARE REALLY MADE NECESSARY BY THE
9 LARGER, MORE COMPLEX AWARD THAT WE'RE FUNDING. SO
10 WE PROPOSE TO INCLUDE DEFINITIONS FOR CO-PRINCIPAL
11 INVESTIGATOR, MILESTONES, SUBAWARD, SUBRECIPIENT,
12 FINANCIAL REPORT, AND WORKING BUDGET. THOSE WILL
13 ALL BECOME DEFINED TERMS IN THE GAP.

14 AS DIRECTED BY THE STATE TREASURER'S
15 OFFICE AND AFTER DISCUSSION WITH THE UC OFFICE OF
16 THE PRESIDENT, WE ARE ALSO PROPOSING AN AMENDMENT TO
17 PROVIDE FOR FLEXIBILITY TO MAKE PAYMENTS TO THE UC
18 CAMPUSES ON A REIMBURSEMENT BASIS IN ORDER TO COMPLY
19 WITH THE REQUIREMENTS OF TAX-EXEMPT BOND PROCEEDS --
20 OF USING TAX-EXEMPT BOND PROCEEDS.

21 THIS LANGUAGE PROVIDES FOR NEGOTIATION
22 WITH INDIVIDUAL CAMPUSES TO ENSURE THAT PAYMENT
23 SCHEDULES DO NOT CREATE UNDUE FINANCIAL CONSTRAINTS
24 WITH GRANTEES.

25 SO AS I MENTIONED, WE'RE FUNDING LARGER,

BARRISTERS' REPORTING SERVICE

1 MORE COMPLEX AWARDS LIKE THE DISEASE TEAM AWARDS
2 THAT YOU'RE CONSIDERING AT THIS MEETING. THIS HAS
3 REALLY REQUIRED US TO RECONSIDER SOME OF WHAT WE
4 CALL PRIOR APPROVAL REQUIREMENTS. THIS IS WHEN A
5 GRANTEE WANTS TO MAKE CHANGES UNDER THEIR AWARD AND
6 THEY NEED PRIOR APPROVAL FROM CIRM TO DO SO.

7 SOME OF THE PROVISIONS IN THE GAP THAT
8 WORKED QUITE WELL FOR THE SMALL AWARDS THAT WERE
9 FUNDED IN THE BEGINNING SIMPLY DID NOT SCALE UP TO
10 LARGE GRANTS WITH MULTIPLE RESEARCH COLLABORATIONS.
11 SO THE AMENDMENTS THAT WE'RE PROPOSING IN THE PRIOR
12 APPROVAL REQUEST SECTION WILL IMPROVE OUR ABILITY TO
13 ADMINISTER THESE LARGER AWARDS.

14 ONE EXAMPLE IS THAT WE WILL REQUIRE
15 SCIENCE OFFICE REVIEW BEFORE SUBSTANTIAL UNSPENT
16 FUNDS CAN BE CARRIED FORWARD FROM ONE BUDGET PERIOD
17 TO THE NEXT. THE THRESHOLD CHANGE HERE IS FROM A
18 STRAIGHT 25-PERCENT CARRY-FORWARD ALLOWANCE TO THE
19 LESSER OF 200,000 OR 25-PERCENT CARRY-FORWARD
20 ALLOWANCE. AND AS YOU CAN IMAGINE, THAT MAKES A BIG
21 DIFFERENCE IN SOME OF THESE LARGER \$20 MILLION
22 AWARDS.

23 SO FINALLY, WE'RE PROPOSING SEVERAL
24 CHANGES THAT ARE SPECIFIC TO TRAINING GRANTS. FOR
25 EXAMPLE, WE'RE PROPOSING SOME LANGUAGE THAT

BARRISTERS' REPORTING SERVICE

1 CLARIFIES THAT GRANTEE INSTITUTIONS CAN APPLY THEIR
2 OWN EXISTING INSTITUTIONAL POLICIES FOR TRAINEES
3 TAKING PARENTAL LEAVE. WE'RE ALSO PROPOSING TO PUT
4 A CAP ON THE NUMBER OF TRAINEES THAT CAN BE
5 SUPERVISED BY A SINGLE MENTOR. THAT WOULD BE TWO
6 CONCURRENT.

7 SO THAT'S A QUICK OVERVIEW OF THE MAJOR
8 CHANGES THAT WE PROPOSE. MOST OF THE OTHER ITEMS
9 THAT YOU WILL SEE, IF YOU LEAF THROUGH THAT POLICY,
10 ARE REALLY MINOR HOUSEKEEPING ITEMS. I KNOW I WENT
11 THROUGH THAT VERY QUICKLY. ARE THERE ANY QUESTIONS
12 ABOUT THE ITEMS THAT I PRESENTED OR ANY OTHER ITEMS
13 THAT YOU'VE SEEN IN THE POLICY? ANY QUESTIONS ABOUT
14 THE PROCESS ITSELF?

15 SO I'M REQUESTING, I'D LIKE TO REQUEST
16 THAT THE ICOC CONSIDER ADOPTING THE PROPOSED
17 AMENDMENTS TO THE GAP AND PROCEED WITH FINALIZING
18 THE PROCESS WITH THE OFFICE OF ADMINISTRATION LAW.
19 WE'RE HOPING THAT THESE AMENDMENTS CAN TAKE EFFECT
20 BEFORE WE INITIATE THE DISEASE TEAM AWARDS THAT YOU
21 ARE CONSIDERING TODAY.

22 MR. TORRES: SO MOVED.

23 CHAIRMAN THOMAS: MOVED BY SENATOR TORRES.

24 DR. VUORI: SECOND.

25 CHAIRMAN THOMAS: SECOND BY DR. VUORI.

BARRISTERS' REPORTING SERVICE

1 BEFORE WE GET YOUR VOTE, I'D JUST LIKE TO THANK AMY
2 AND EVERYBODY IN GRANTS MANAGEMENT. THIS WAS
3 DESCRIBED RATHER QUICKLY, BUT WAS THE PRODUCT OF A
4 LOT OF WORK AND CONSIDERATION BY A LOT OF PEOPLE IN
5 OUR CONTINUING EFFORTS TO UPDATE OUR PRACTICES TO
6 BEST SERVE OUR MISSION. SO, AMY, THANK YOU VERY
7 MUCH.

8 MR. TORRES: HERE. HERE. IT'S A
9 REMARKABLE TEAM. YOUR LEADERSHIP HAS JUST BEEN
10 TERRIFIC. NICE TO WORK YOU.

11 MS. LEWIS: THANK YOU.

12 CHAIRMAN THOMAS: ARE THERE COMMENTS BY
13 MEMBERS OF THE BOARD ON THE MOTION? HEARING NONE,
14 ANY COMMENTS BY MEMBERS OF THE PUBLIC? WE WILL THEN
15 PROCEED TO A VOTE. AGAIN, THIS IS A VOICE VOTE
16 ITEM. SO THOSE IN THE ROOM WHO APPROVE PLEASE
17 SIGNIFY BY SAYING AYE. OPPOSED? ABSTAINING?
18 MARCY.

19 MS. FEIT: YES.

20 CHAIRMAN THOMAS: UNANIMOUSLY APPROVED.
21 THANK YOU VERY MUCH, AMY.

22 OKAY. WE ARE NOW GOING TO MOVE ON TO
23 DISCUSSION OF OUR REMAINING PENDING DISEASE TEAM
24 AWARDS. BEFORE WE GET INTO THAT, I JUST WANTED TO
25 SAY A COUPLE THINGS. AT OUR LAST MEETING, AS YOU

BARRISTERS' REPORTING SERVICE

1 RECALL, WE HAD A NUMBER OF EXTRAORDINARY PETITIONS.
2 AND THE PROCESS THAT WE SETTLED ON AT THAT MEETING
3 WAS, INSTEAD OF TRYING TO MAKE AN INSTANTANEOUS
4 DECISION ON THE VIABILITY OF THE ADDITIONAL OR NEW
5 INFORMATION PROVIDED BY THE APPLICANTS, WE FELT THAT
6 IT WOULD BE BEST TO SEND THOSE THAT WERE SO
7 REQUESTED BACK TO THE GRANTS WORKING GROUP FOR A
8 RE-REVIEW OF VERY NARROW QUESTIONS THAT WERE RAISED
9 DURING THE COURSE OF OUR DISCUSSION WITH THE
10 DIRECTIVE TO THE GRANTS WORKING GROUP TO DETERMINE
11 TO THE BEST OF THEIR ABILITY IF THE ADDITIONAL OR
12 NEW OR CLARIFYING INFORMATION WOULD HAVE RESULTED IN
13 A DIFFERENT RECOMMENDATION BY THE GRANTS WORKING
14 GROUP FOR THE PROPOSALS IN QUESTION.

15 THAT PROCEDURE AT THE MEETING WE DIRECTED
16 TO BE DETERMINED IN DETAIL BY DR. TROUNSON AND MR.
17 SHEEHY, WHO MET AND SUBSEQUENTLY IN A MEETING I WAS
18 ALSO ATTENDING DETERMINED THAT THE RE-REVIEWS WOULD
19 BE DONE BY THE CHAIR OF THE GRANTS WORKING GROUP FOR
20 THE DISEASE TEAM MEETING, ONE OF THE PRINCIPAL
21 REVIEWERS OF THE PROPOSAL IN QUESTION, AND A PATIENT
22 ADVOCATE.

23 THOSE RE-REVIEWS WERE DONE A WEEK AGO
24 FRIDAY, JAMES, AND ARE NOW COMING BACK FOR
25 DISCUSSION HERE AT THE BOARD MEETING. THERE WAS A

BARRISTERS' REPORTING SERVICE

1 SIXTH EXTRAORDINARY PETITION WHICH WAS PUT OUT BY
2 DR. LIPTON. THERE'S ADDITIONAL INFORMATION HE
3 PROVIDED THAT WE'RE STILL WORKING ON EVALUATING. SO
4 THAT PARTICULAR PROPOSAL WILL NOT BE DISCUSSED BY
5 THE BOARD AT THIS MEETING AND IS BEING TABLED FOR
6 REVIEW AND DISCUSSION AT THE OCTOBER MEETING.

7 SO HAVING SAID THAT, I'D LIKE TO JUST TURN
8 IT OVER TO JAMES FOR FURTHER COMMENT ON THE PROCESS
9 AND WHAT WE'RE GOING TO DO HERE AT THE BOARD MEETING
10 GOING FORWARD WITH RESPECT TO THE FIVE PROPOSALS
11 THAT WERE SENT BACK FOR RE-REVIEW.

12 MR. HARRISON: THANK YOU, J.T. AS J.T.
13 EXPLAINED, AT THE LAST MEETING THE BOARD APPROVED
14 EIGHT OF THE DISEASE TEAM APPLICATIONS, REFERRED
15 FIVE OF THEM FOR ADDITIONAL ANALYSIS, AND DID NOT
16 TAKE ACTION WITH RESPECT TO THE REMAINING
17 APPLICATIONS IN TIER III.

18 IN ORDER TO TRY TO MAINTAIN AS ORDERLY AND
19 EFFICIENT A PROCESS AS POSSIBLE, WHAT WE PLAN TO DO
20 AFTER THE STAFF PRESENTATION IS TO FIRST TAKE UP THE
21 FIVE APPLICATIONS THAT WERE THE SUBJECT OF THE
22 ADDITIONAL ANALYSIS. AND WE PROPOSE TO START WITH
23 THE THREE APPLICATIONS THAT WERE RECOMMENDED FOR
24 FUNDING AND OPEN THE FLOOR FOR BOARD DISCUSSION OF
25 THOSE APPLICATIONS AS WELL AS MOTIONS TO FUND THEM

BARRISTERS' REPORTING SERVICE

1 ONE BY ONE IF A BOARD MEMBER SO DESIRES.

2 TO THE EXTENT ANY OF THE DISCUSSION WOULD
3 REQUIRE STAFF TO PROVIDE PROPRIETARY INFORMATION,
4 WE'LL DEFER CONSIDERATION OF THAT APPLICATION UNTIL
5 AFTER WE'VE HAD AN OPPORTUNITY FOR A CLOSED SESSION.

6 AFTER WE'VE CONSIDERED THOSE THREE
7 APPLICATIONS, WE'LL NEXT MOVE ON TO THE OTHER TWO
8 APPLICATIONS THAT WERE THE SUBJECT OF ADDITIONAL
9 ANALYSIS AND THAT WERE NOT RECOMMENDED FOR FUNDING.
10 TO THE EXTENT THAT A BOARD MEMBER IS INTERESTED IN
11 MAKING A MOTION OR DISCUSSING ONE OF THOSE
12 APPLICATIONS, WE'LL OPEN THE FLOOR TO THAT
13 DISCUSSION. AGAIN, IF THERE IS PROPRIETARY
14 INFORMATION CONCERNING ONE OR MORE OF THE
15 APPLICATIONS THAT THE BOARD NEEDS TO EVALUATE BEFORE
16 MAKING A FINAL DECISION, WE'LL DEFER CONSIDERATION
17 OF THAT APPLICATION OR APPLICATIONS UNTIL AFTER
18 WE'VE CONVENED IN CLOSED SESSION.

19 AFTER WE'VE COMPLETED OUR DISCUSSION OF
20 THOSE FIVE APPLICATIONS, WE'LL MOVE ON TO THE
21 APPLICATIONS THAT REMAIN IN TIER III AND OPEN THE
22 FLOOR TO DISCUSSION BY THE BOARD OF THOSE
23 APPLICATIONS. AGAIN, ANY QUESTIONS THAT WOULD
24 REQUIRE DISCUSSION OF PROPRIETARY INFORMATION, WE'LL
25 DEFER CONSIDERATION OF THAT PARTICULAR APPLICATION

BARRISTERS' REPORTING SERVICE

1 UNTIL AFTER CLOSED SESSION.

2 ONCE WE'VE HANDLED EVERYTHING WE CAN
3 HANDLE IN OPEN SESSION, WE'LL CONVENE IN CLOSED
4 SESSION, AND THEN RETURN TO OPEN SESSION AND TAKE
5 ANY FINAL ACTION THAT'S NECESSARY, INCLUDING CLOSING
6 FUNDING ON THE APPLICATIONS THAT REMAIN IN TIER III.

7 CHAIRMAN THOMAS: OKAY. THANK YOU.

8 MR. SHESTACK: THAT LEAVES US THREE
9 OPPORTUNITIES FOR CLOSED SESSION?

10 MR. HARRISON: NO. WE'LL HAVE ONLY A
11 SINGLE CLOSED SESSION, BUT WE'RE TALKING ABOUT THE
12 APPLICATIONS IN THREE SEPARATE GROUPS. AND TO THE
13 EXTENT THAT ANY PROPRIETARY INFORMATION IS
14 IDENTIFIED WITH RESPECT TO AN APPLICATION IN ONE OF
15 THOSE THREE GROUPS, WE'LL TABLE THAT DISCUSSION.

16 MR. SHESTACK: AND WE'LL HAVE ONE. OKAY.
17 GREAT. THANK YOU VERY MUCH.

18 CHAIRMAN THOMAS: THANK YOU, JAMES. SO
19 WHAT WE'RE GOING TO DO IS TO START OUT BY TAKING THE
20 FIRST THREE PROJECTS THAT WERE -- DR. FEIGAL. I
21 MISSED YOUR POWERPOINT UP THERE. IF YOU COULD GIVE
22 AN OVERVIEW, AND THEN WE'LL PROCEED TO THE THREE
23 THAT WERE RECOMMENDED FOR FUNDING.

24 DR. FEIGAL: THANK YOU VERY MUCH. SO I'M
25 PLEASED TODAY TO PRESENT THE ADDITIONAL ANALYSIS

BARRISTERS' REPORTING SERVICE

1 RESULTS ON THE DISEASE TEAM THERAPY DEVELOPMENT
2 RESEARCH AWARDS. WHAT I'D LIKE TO DO, JUST TO SET
3 THE CONTEXT, IS REMIND YOU WHAT THIS INITIATIVE WAS
4 ABOUT: TO PROVIDE YOU A RECAP OF THE DECISIONS THAT
5 TOOK PLACE AT THE JULY ICOC, TO DESCRIBE THE
6 ADDITIONAL ANALYSIS PROCESS IN A LITTLE BIT MORE
7 DETAIL, AND THEN TO PROVIDE THE RESULTS OF THE
8 ADDITIONAL ANALYSIS DISCUSSION.

9 SO THIS RFA, 10-05, IS BASICALLY REALLY
10 FOCUSED ON CIRM'S CLINICAL OBJECTIVE MISSION, WHICH
11 IS TO ADVANCE THE STEM CELL-BASED SCIENCE TOWARDS
12 THERAPIES THAT CAN BENEFIT PATIENTS. UP HERE YOU
13 SEE THE CHEVRON OF THE PRODUCT DEVELOPMENT GOING
14 FROM BASIC RESEARCH TO AT THIS POINT EARLY PHASE
15 CLINICAL TRIALS. THIS IS THE SWEET SPOT WHERE CIRM
16 IS ACTUALLY PROVIDING THE FUNDING, AND PARTICULARLY
17 FOR TRANSLATIONAL RESEARCH WE FEEL A PARTICULAR NEED
18 TO FUND THE VALLEY OF DEATH, THE BRIDGE TO CURES,
19 WHICHEVER YOU LIKE TO TERM IT, FOR THOSE TYPES OF
20 PROJECTS THAT ARE TRYING TO GO FROM PRECLINICAL
21 PROOF OF CONCEPT THROUGH THE IND FILING TO ENTER
22 FIRST-IN-HUMAN UP THROUGH EARLY PHASE CLINICAL
23 TRIAL.

24 WE HAVE A VARIETY OF INITIATIVES FROM
25 FUNDAMENTAL BIOLOGY, EARLY TRANSLATION, DISEASE

BARRISTERS' REPORTING SERVICE

1 TEAM, TWO STRATEGIC PARTNERSHIPS, WHICH YOU WILL
2 HEAR ABOUT IN OCTOBER. DISEASE TEAM I HAVE BEEN UP
3 AND RUNNING SINCE 2010. THERE ARE NOW 13 DISEASE
4 TEAMS THAT HAVE BEEN FUNDED FROM THAT DISEASE
5 COHORT. ONE OF OUR DISEASE TEAMS, THE SUBJECT OF
6 ONE OF THE REVIEWS TODAY, ACTUALLY HAS SUCCESSFULLY
7 FILED THEIR IND AS READY TO GO TO THAT NEXT STAGE,
8 WHICH IS CLINICAL TRIALS. WE HAVE APPROXIMATELY 70
9 PROJECTS IN OUR TRANSLATIONAL PORTFOLIO,
10 APPROXIMATELY, AS I SAID, 13 DISEASE TEAMS. WE HAVE
11 EIGHT APPROVED DISEASE TEAM THERAPY DEVELOPMENT
12 PROPOSALS THAT YOU APPROVED BACK IN JULY AT THE
13 ICOC. AND IN ADDITION, WE HAVE ABOUT 50 EARLY
14 TRANSLATIONAL AWARDS FROM THE VARIOUS ITERATIONS OF
15 THESE INITIATIVES. SO ALTOGETHER WE HAVE 71
16 DIFFERENT PROJECTS THAT ARE WORKING ALONG THE
17 TRANSLATIONAL PIPELINE.

18 THE PURPOSE OF THIS PARTICULAR INITIATIVE,
19 RFA 10-05, IS REALLY TO ADVANCE PRECLINICAL AND/OR
20 CLINICAL DEVELOPMENT OF STEM CELL-BASED THERAPIES.
21 AND THE GOAL REALLY WITHIN THE NEXT FOUR-YEAR TIME
22 FRAME IS FOR THESE APPLICANTS TO BE ABLE TO SUBMIT A
23 WELL-SUPPORTED IND FOR A CLINICAL STUDY AND/OR
24 COMPLETE A PHASE I OR PHASE I-II STUDY, OR TO
25 COMPLETE A PHASE II STUDY.

BARRISTERS' REPORTING SERVICE

1 SO THE GOAL IS REALLY TO ACHIEVE ALL THIS
2 WITHIN THE NEXT FOUR YEARS. THE SCOPE MUST BE
3 CELL-BASED IN A SINGLE THERAPEUTIC CANDIDATE. THE
4 CANDIDATE CAN ARISE FROM PLURIPOTENT STEM CELLS,
5 FROM PROGENITOR CELLS, FROM REPROGRAMMED OR
6 GENETICALLY MODIFIED STEM CELLS, ALSO FROM SMALL
7 MOLECULES OR BIOLOGIC CANDIDATES THAT HAVE BEEN
8 CHARACTERIZED OR GENERATED USING STEM CELLS. WE
9 ALSO ALLOWED CANDIDATES THAT TARGET THE CANCER STEM
10 CELL OR ENDOGENOUS STEM CELLS IN VIVO, AND ALSO
11 ENGINEERED FUNCTIONAL TISSUE CANDIDATES FOR
12 TRANSPLANTATION.

13 THERE WAS A VARIETY OF REVIEW CRITERIA
14 THAT OUR GRANTS REVIEW GROUP CONSIDERED IN LOOKING
15 THROUGH ALL THESE APPLICATIONS AND PROPOSALS. THEY
16 INCLUDED THE SIGNIFICANCE AND IMPACT, THE PROJECT
17 RATIONALE, THE THERAPEUTIC DEVELOPMENT READINESS,
18 THE FEASIBILITY OF THE PROJECT PLAN, THE PRINCIPAL
19 INVESTIGATOR AND THE DEVELOPMENT TEAM, WHAT KINDS OF
20 COLLABORATIONS, RESOURCES, AND THE WORK ENVIRONMENT
21 THEY HAD IN PLACE. AND IN ADDITION, SOME OF THESE
22 AWARDS HAD CONDITIONS THAT WERE PLACED AT THE TIME
23 OF THE PLANNING AWARD, AND THESE CONDITIONS WERE
24 REVIEWED TO SEE WHETHER THEY WERE MET AT THE TIME OF
25 THE REVIEW OF THE RESEARCH AWARD.

BARRISTERS' REPORTING SERVICE

1 I WANT TO REVIEW SOME OF THE GRANT REVIEW
2 EXPERTISE THAT WE HAVE ON BOARD. IT'S A VERY ROBUST
3 GROUP OF EXPERTS WITH EXPERTISE ACROSS THE SPECTRUM
4 OF PRECLINICAL STUDIES, INCLUDING PRECLINICAL
5 TOXICOLOGY AND SAFETY, MANUFACTURING, INCLUDING
6 CHEMISTRY AND CONTROLS, DISEASE AND CLINICAL
7 EXPERTISE, EXPERTISE IN REGULATORY ISSUES IN TRYING
8 TO GET A PRODUCT TO FIRST IN HUMAN AND THROUGH
9 CLINICAL TRIALS, AND ALSO EXPERTISE IN PRODUCT
10 DEVELOPMENT. HOW DO YOU DEVELOP A PRODUCT? WE
11 WANTED TO MAKE SURE THAT REALLY AT THE END OF THE
12 DAY WE'RE TRYING TO FUND RESEARCH PROGRAMS THAT HAVE
13 THE ABILITY TO ACTUALLY BECOME A THERAPEUTIC
14 PRODUCT.

15 THAT'S WHAT MAKES THIS PARTICULAR
16 INITIATIVE DIFFERENT FROM A LOT OF OUR OTHER
17 INITIATIVES THAT MIGHT BE MORE RESEARCH FOCUSED.
18 THIS IS ACTUALLY FOR THOSE PEOPLE WHO CAN HIT THE
19 GROUND RUNNING, WHO HAVE A VERY WELL-CHARACTERIZED
20 DEVELOPMENT CANDIDATE, AND ARE REALLY ABLE TO DO
21 THOSE STUDIES TO GO THROUGH THE IND-ENABLING STEPS
22 TO EITHER FILE THAT IND OR TO CONDUCT THOSE CLINICAL
23 TRIALS.

24 I WANT TO JUST RECAP THE RECOMMENDATIONS
25 AND DECISIONS FROM THE JULY 26TH ICOC. I'M TRYING

BARRISTERS' REPORTING SERVICE

1 TO GET RID OF THAT LITTLE THING AT THE TOP, BUT IT
2 LIKES TO STAY THERE.

3 ANYWAY, THE RECOMMENDATIONS FROM THE JULY
4 ICOC IS BASICALLY SIX PROPOSALS WERE RECOMMENDED FOR
5 FUNDING WITH A BUDGET UP TO 113 MILLION. FIFTEEN
6 PROPOSALS WERE NOT RECOMMENDED FOR FUNDING.

7 AT THE ICOC ON JULY 26TH, THE BOARD
8 ACTUALLY ASKED CIRM MANAGEMENT OUR SCIENTIFIC ADVICE
9 ON NINE OF THOSE APPLICATIONS, AND THEY WERE
10 PROVIDED ADVICE THAT TWO PROPOSALS SEEMED TO DESERVE
11 ADDITIONAL CONSIDERATION BECAUSE OF NEW DATA THAT
12 POTENTIALLY ADDRESSED SOME KEY CONCERNS IN THE GRANT
13 WORKING GROUP RECOMMENDATIONS. THOSE WERE THE
14 PROJECTS THAT YOU WILL HEAR ABOUT LATER ON THE
15 CARDIAC CLINICAL TRIAL AND ALSO ON THE DUCHENNE
16 MUSCULAR DYSTROPHY PROPOSAL.

17 THE BOARD, YOU CONSIDERED THE INPUTS THAT
18 WERE PROVIDED FROM THE GRANTS REVIEW GROUP, FROM
19 CIRM, AND FROM PUBLIC COMMENT, AND VOTED TO APPROVE
20 EIGHT PROPOSALS FOR FUNDING WITH A BUDGET UP TO 151
21 MILLION. AND THEN AS THE CHAIRMAN NOTED, ANOTHER
22 FIVE PROPOSALS WERE SENT FOR ADDITIONAL ANALYSIS.

23 THESE ARE THE APPROVED AWARDS FROM JULY OF
24 2012. YOU SEE THE APPLICATION NUMBER ON THE LEFT,
25 THE SCORE ON THE NEXT COLUMN, THE DISEASE AREA IN

BARRISTERS' REPORTING SERVICE

1 THE MIDDLE COLUMN, THE THERAPEUTIC APPROACH IN THE
2 NEXT COLUMN, AND THEN THE GOAL OF WHAT THEY SAID
3 THEY WERE GOING TO DO AT THE COMPLETION OF THE FOUR
4 YEARS.

5 THE TWO THAT ARE ASTERISKED AT THE BOTTOM,
6 THE PROPOSAL WORKING ON AMYOTROPHIC LATERAL
7 SCLEROSIS AND THE PROPOSAL WORKING ON SEVERE
8 COMBINED IMMUNODEFICIENCY WERE MOVED TO A FUNDING
9 LEVEL. WITH THOSE TWO ADDITIONAL PROPOSALS, THAT
10 FUNDING THEN INCREASED FROM 113 MILLION TO 151
11 MILLION.

12 THE FIVE PROPOSALS THAT YOU REFERRED FOR
13 ADDITIONAL ANALYSIS INCLUDED THE FOLLOWING: A
14 PROPOSAL ON ALZHEIMER'S DISEASE USING FETAL-DERIVED
15 NEURAL STEM CELLS WITH THE GOAL OF HAVING AN IND
16 THAT WOULD ALLOW THE RESEARCH TO MOVE FORWARD INTO
17 FIRST-IN-HUMAN TRIAL. YOU SEE THE ASKED FOR BUDGET
18 ON THE RIGHT-HAND COLUMN.

19 THE NEXT PROPOSAL WAS IN RETINITIS
20 PIGMENTOSA USING ALLOGENEIC RETINAL PROGENITOR
21 CELLS. THE GOAL FOR THIS PROJECT WAS ACTUALLY TO
22 COMPLETE A PHASE I-II CLINICAL TRIAL. THE REQUESTED
23 BUDGET WAS TO THE TUNE OF 17.3 MILLION.

24 THE THIRD PROPOSAL WAS IN DUCHENNE
25 MUSCULAR DYSTROPHY. HERE IT WAS A COMBINATION

BARRISTERS' REPORTING SERVICE

1 PROJECT USING AN ANTISENSE OLIGONUCLEOTIDE AND A
2 SMALL MOLECULE. THE GOAL HERE WAS TO CONDUCT
3 IND-ENABLING RESEARCH TO ALLOW THEM TO FILE THAT IND
4 TO ENTER INTO FIRST-IN-HUMAN CLINICAL TRIALS. AND
5 THE REQUESTED BUDGET WAS 20 MILLION.

6 THE NEXT PROPOSAL WAS IN BREAST CANCER
7 USING A MONOCLONAL ANTIBODY TARGETED TO A CANCER
8 STEM CELL. THE GOAL THERE WAS TO COMPLETE PHASE I
9 AND PHASE II CLINICAL TRIALS WITH A BUDGET REQUESTED
10 OF 20 MILLION.

11 AND THEN THE LAST PROPOSAL WAS FOR A
12 THERAPEUTIC APPROACH TO TREAT PATIENTS AFTER THEY
13 HAD HAD A MYOCARDIAL INFARCTION. HERE THE PROPOSED
14 GOAL WAS THE COMPLETION OF A PHASE II TRIAL. AND
15 THEIR REQUESTED BUDGET WAS UP TO 19.8 MILLION.

16 JUST TO REITERATE THE ADDITIONAL ANALYSIS
17 PROCESS, THE PURPOSE OF THAT ANALYSIS WAS REALLY TO
18 EVALUATE SPECIFIC NEW INFORMATION THAT BECAME
19 AVAILABLE AFTER THE GRANT REVIEW GROUP REVIEW AND
20 DETERMINE WHETHER THAT INFORMATION ADDRESSED SOME OF
21 THE REVIEWERS' KEY OR PRIMARY CONCERNS AND WOULD
22 HAVE IMPACTED THE OVERALL GRANT REVIEW GROUP
23 RECOMMENDATION FOR FUNDING THE AWARD.

24 FOR EACH APPLICATION THE INFORMATION
25 PROVIDED OR REFERENCED AT THE BOARD MEETING AND

BARRISTERS' REPORTING SERVICE

1 ASSOCIATED SPECIFIC ADDITIONAL MATERIAL WERE
2 REQUESTED FROM THE APPLICANT. THIS NEW INFORMATION
3 WAS EVALUATED IN ALL CASES BY THE GRANT REVIEW GROUP
4 REVIEW CHAIR AS WELL AS ONE OF THE ORIGINALLY
5 ASSIGNED REVIEWERS AND A PATIENT ADVOCATE. EACH
6 APPLICATION WAS ASSESSED INDEPENDENTLY, AND A
7 TELECONFERENCE WAS SCHEDULED TO DISCUSS EACH ONE.
8 THE GOAL OF THOSE DISCUSSIONS WAS REALLY TO PROVIDE
9 THE RESULTS AT THIS SEPTEMBER BOARD MEETING.

10 IN YOUR PREREAD YOU HAVE A LIST OF THE
11 TYPES OF INFORMATION THAT WAS ASKED FOR FROM EACH OF
12 THE APPLICANTS. I WON'T GO OVER THAT LIST OF
13 REQUESTED INFORMATION FOR EACH OF THESE PROPOSALS,
14 BUT YOU HAVE IT IN YOUR PREREAD.

15 THE RECOMMENDATIONS, THEN, I'D LIKE TO GO
16 THROUGH AT THIS TIME. I WILL ALSO WANT TO ADD, IN
17 ADDITION TO NEW MATERIAL, ALL OF THE REVIEWERS HAD
18 THE ORIGINAL APPLICATION, THE REVIEW CRITIQUE, AS
19 WELL AS THE PETITION.

20 SO THESE ARE THE ADDITIONAL ANALYSIS
21 RESULTS: FOR THE ALZHEIMER'S DISEASE PROPOSAL, THE
22 ADDITIONAL ANALYSIS RESULTS WERE THAT THEY DID NOT
23 FEEL THE NEW INFORMATION WOULD RESULT IN A CHANGE IN
24 THE GRANT REVIEW GROUP RECOMMENDATION, WHICH WAS NOT
25 RECOMMENDED FOR FUNDING.

BARRISTERS' REPORTING SERVICE

1 FOR THE RETINITIS PIGMENTOSA PROPOSAL
2 WHERE THE APPROACH WAS UTILIZING ALLOGENEIC RETINAL
3 PROGENITOR CELLS, THEY THOUGHT THAT THE NEW
4 INFORMATION WOULD RESULT IN A CHANGE IN THE GRANT
5 REVIEW GROUP, AND THEY DID RECOMMEND FUNDING FOR
6 THAT PROPOSAL.

7 FOR THE THIRD PROPOSAL IN DUCHENNE
8 MUSCULAR DYSTROPHY, THE APPROACH OF A COMBINED
9 ANTISENSE OLIGONUCLEOTIDE AND A SMALL MOLECULE, THE
10 ANALYSIS DID REVEAL THAT THEY THOUGHT IT WOULD
11 MODIFY THE GRANT REVIEW GROUP RECOMMENDATION. AND
12 THE RECOMMENDATION HERE WAS FOR A CONVERSION OF THIS
13 RESEARCH PROPOSAL TO AN EARLY TRANSLATION PROJECT.

14 THE FOURTH PROPOSAL IN BREAST CANCER
15 UTILIZING A MONOCLONAL ANTIBODY, THE RESULTS FROM
16 THIS DISCUSSION OF THE NEW MATERIAL SHOWED THAT
17 THERE WAS NO CHANGE IN THE GRANT REVIEW GROUP
18 RECOMMENDATION, WHICH REMAINED AT A NOT RECOMMENDED
19 FOR FUNDING.

20 AND THEN THE FIFTH PROPOSAL IN POST
21 MYOCARDIAL INFARCTION HEART FAILURE FOR THE
22 ALLOGENEIC CARDIAC-DERIVED STEM CELLS, THE
23 DISCUSSION REVEALED A CHANGE IN THE GRANT REVIEW
24 GROUP RECOMMENDATION TO RECOMMENDATION WITH
25 CONDITIONS.

BARRISTERS' REPORTING SERVICE

1 I'D BE HAPPY TO GO THROUGH ANY OF THE
2 DETAILS OF WHAT THE SPECIFIC INFORMATION WAS AND
3 WHAT THE RATIONALE WAS FOR MAKING THE RECOMMENDATION
4 WHICH I'M BRINGING FORWARD TO YOU TODAY. THANK YOU
5 VERY MUCH.

6 CHAIRMAN THOMAS: THANK YOU, DR. FEIGAL.
7 SO --

8 MS. SAMUELSON: LET'S CONSIDER REVIEWING
9 EACH OF THOSE AREAS. THAT'S MUCH BETTER. THESE ARE
10 BIG, IMPORTANT GRANTS IN OUR PORTFOLIO. I'M NOT
11 SURE HOW MUCH TIME IT WOULD TAKE, AND I KNOW TIME IS
12 PRECIOUS, BUT I'D BE INTERESTED IN A BIT MORE OF AN
13 ANALYSIS ON EACH OF THOSE.

14 DR. FEIGAL: SO I DID PROVIDE THE PREREAD
15 WHICH HAD THE MATERIALS AND THE RECOMMENDATIONS. WE
16 CAN GO THROUGH IT VERBALLY IF YOU'D LIKE.

17 MS. SAMUELSON: I DEFER TO YOUR WISDOM,
18 UNDERSTANDING WHAT OUR OTHER COMPETING DEMANDS ARE,
19 MR. CHAIRMAN, BUT...

20 CHAIRMAN THOMAS: BY THE WAY, I'D LIKE TO
21 NOTE FOR THE RECORD THAT SHERRY LANSING HAS JOINED
22 THE MEETING ON THE PHONE. WELCOME, SHERRY.

23 MS. LANSING: I'M HERE. I JUST WANTED YOU
24 TO KNOW THAT. THANK YOU.

25 CHAIRMAN THOMAS: THANK YOU.

BARRISTERS' REPORTING SERVICE

1 I THINK WITH RESPECT TO THE THREE THAT ARE
2 RECOMMENDED FOR FUNDING, I THINK EVERYBODY HAS GOT
3 THE PREREADS ON THOSE. I'M NOT SURE EXACTLY HOW
4 MUCH ADDITIONAL INFORMATION THE BOARD NEEDS. HAPPY
5 TO HAVE DR. FEIGAL GO INTO IT IN MORE DETAIL.

6 MS. SAMUELSON: THE ONE QUESTION ON THAT
7 THAT ARISES IS ON THE ONE IT'S RECOMMENDING IT GO
8 FROM A DISEASE TEAM TO EARLY TRANSLATION, WHICH IS A
9 DRAMATIC REDUCTION IN THE SCOPE AND MONEY.

10 CHAIRMAN THOMAS: YES. WE'LL DO THAT.
11 WE'LL TAKE EACH IN ORDER.

12 MR. SHEEHY: THAT WAS GOING TO BE MY
13 RECOMMENDATION, THAT WE HAVE THE DISCUSSION ON EACH
14 GRANT AS WE BRING IT UP.

15 CHAIRMAN THOMAS: SO WHY DON'T WE PROCEED,
16 FIRST OFF, TO THE TWO THAT WERE RECOMMENDED FOR
17 FUNDING. LET'S START WITH THE RP.

18 DR. FEIGAL: CAN WE START WITH THE ONE
19 THAT'S ON THE --

20 CHAIRMAN THOMAS: YES. I THOUGHT THE
21 EASIEST ONE WAS THE -- OKAY. LET'S GO AHEAD. LET'S
22 START WITH THE CAPRICOR PROPOSAL. DO YOU WANT TO
23 COMMENT FURTHER, OR SHALL WE PROCEED?

24 DR. FEIGAL: WHY DON'T I JUST BRIEFLY, FOR
25 THOSE OF YOU WHO MAY NOT HAVE READ THE PREREAD, I

BARRISTERS' REPORTING SERVICE

1 CAN BRIEFLY REVIEW IT BECAUSE THEY'RE ACTUALLY
2 FAIRLY SHORT.

3 CHAIRMAN THOMAS: YES. THANK YOU.

4 DR. FEIGAL: SO THE RECOMMENDATIONS HERE
5 THAT WERE -- LET ME START WITH THE BOTTOM LINE.
6 THEY WERE RECOMMENDED FOR FUNDING WITH TWO
7 CONDITIONS. ONE, TO ENSURE THE PHASE I COMPONENT OF
8 THE PHASE I-II CLINICAL TRIAL HAS DEMONSTRATED
9 ADEQUATE SAFETY BEFORE PROCEEDING WITH THE PHASE II,
10 WHICH IS WHAT CIRM NORMALLY WOULD DO EVEN IF THEY
11 HADN'T PLACED THAT CONDITION ON IT. AND, TWO, THAT
12 THE INVESTIGATORS SHOULD FOCUS THE PHASE II
13 COMPONENT ON PATIENTS WITH RECENT MI.

14 I CAN GO THROUGH, THEN, THE KEY POINTS
15 FROM THE SUMMARY I PROVIDED, THAT THE REVIEWERS WERE
16 CONVINCED BY THE ACHIEVEMENT OF THE KEY MILESTONES
17 SINCE SUBMISSION THAT THE APPLICANT HAD ADDRESSED
18 KEY CONCERNS ABOUT READINESS TO PROCEED TO A PHASE
19 II CLINICAL TRIAL. THAT IS TO SAY THE APPLICANT HAD
20 FILED AND HAD AN APPROVED IND BY THE FDA, THAT THEY
21 HAD AN NIH GRANT THAT HAD BEEN AWARDED TO CONDUCT
22 THE PHASE I COMPONENT OF THEIR PHASE I-II CLINICAL
23 TRIAL, THAT THE APPLICANT HAD ALREADY HIRED
24 CONSULTANTS AND A CONTRACT RESEARCH ORGANIZATION,
25 AND HAD ALREADY LIMITED THEIR TRIAL TO THE UNITED

BARRISTERS' REPORTING SERVICE

1 STATES RATHER THAN PROCEEDING TO A GLOBAL CLINICAL
2 TRIAL, AND THAT THEY HAD ALREADY ENGAGED THE
3 APPROPRIATE CLINICAL LEADERSHIP AND HAD DEFINED
4 ROLES AND RESPONSIBILITIES.

5 SO THEY BASICALLY HAD MET KEY MILESTONES.
6 AT THE TIME OF THE REVIEW, THE APPLICANT WAS
7 ACTUALLY -- IT APPEARED THAT THEY WERE STILL
8 FINISHING UP THEIR PRECLINICAL WORK ON GOOD
9 LABORATORY PRACTICE STUDIES THAT NEEDED TO BE DONE.
10 SO THAT ACTUALLY THERE ARE QUITE A FEW NEW
11 MILESTONES THAT HAD BEEN MET THAT THE REVIEWERS WERE
12 NOT AWARE OF. AND SO ONCE THOSE KEY MILESTONES THAT
13 WERE MET WERE MADE AWARE OF BY -- THE REVIEWERS WERE
14 MADE AWARE OF, THEY THEN DECIDED THAT THEY WERE
15 READY TO PROCEED TO PHASE II CLINICAL TRIAL, THAT IT
16 WASN'T A FANTASY, THAT THEY ACTUALLY DID -- THERE
17 WASN'T ALL THAT REGULATORY UNCERTAINTY, AND THERE
18 WASN'T THE DIFFUSENESS OF THE CLINICAL TRIAL WITH
19 THE GLOBAL CLINICAL TRIAL THAT REVIEWERS WERE VERY
20 CONCERNED ABOUT.

21 THE CONDITIONS THAT WERE PLACED THAT YOU
22 MAY WANT TO DISCUSS IS THAT THEY WANTED THE
23 APPLICANTS TO REALLY FOCUS THE PHASE II COMPONENT ON
24 THE PATIENT POPULATION THAT THEY THOUGHT WOULD BE
25 MOST READILY ABLE TO BENEFIT FROM THEIR PROPOSED

BARRISTERS' REPORTING SERVICE

1 THERAPEUTIC APPROACH SO THAT THEY COULD REALLY GET
2 TO CLINICAL PROOF OF CONCEPT WHICH WAS FELT WOULD BE
3 VERY, VERY IMPORTANT FOR THIS TEAM TO ACHIEVE BEFORE
4 THEY TRIED TO DO A BROADER TYPE OF CLINICAL TRIAL IN
5 OTHER DISEASE INDICATIONS. THAT IS TO SAY, THE
6 CHRONIC CHF WHERE THEY THOUGHT IT WAS MUCH LESS
7 LIKELY TO SUCCEED. IT'S NOT THAT IT CAN'T SUCCEED.
8 IT'S JUST THAT THEY THOUGHT THEIR BEST BET WAS IN
9 RECENT MI, AND THAT SHOULD BE WHERE CIRM PLACED OUR
10 INVESTMENT BET.

11 MS. SAMUELSON: AND THAT WAS THEIR
12 CONCLUSION IN TERMS OF THE TARGET GROUP.

13 DR. FEIGAL: CORRECT.

14 MS. SAMUELSON: THEY BELIEVED THAT WAS THE
15 APPROPRIATE TARGET GROUP?

16 DR. FEIGAL: THE REVIEWERS DID. THEY
17 ALREADY HAD THE RECENT POPULATION IN THEIR PROPOSED
18 CLINICAL TRIAL. THEY HAD TWO COHORTS, ONE WITH MORE
19 RECENT MI AND THEN ONE WITH MORE CHRONIC MI AND
20 CONGESTIVE HEART FAILURE WHERE THE MI HAD OCCURRED
21 IN THE MORE DISTANT THAN IN THE RECENT MI PATIENTS.

22 THERE IT WAS FELT THAT, BECAUSE OF THE
23 BIOLOGY OF HOW THE HEART REMODELS, THAT THEY WOULD
24 HAVE THE BEST BET IN PATIENTS WITH RECENT MI THAN IN
25 THOSE WHO HAD ALREADY HAD SCAR FORMATION.

BARRISTERS' REPORTING SERVICE

1 MS. SAMUELSON: DOES THAT ENTAIL A
2 REDUCTION IN THE SCOPE OF THE GRANT?

3 DR. FEIGAL: WELL, THERE ARE TWO ISSUES
4 WITH THAT. THE BUDGET, THE WAY WE'RE PROPOSING IT
5 IS TO REMAIN UP TO 20 MILLION BECAUSE WE GOT TWO
6 COMMENTS FROM THE REVIEWERS. ONE, THEY THOUGHT THEY
7 HAD UNDERESTIMATED THE AMOUNT THEY NEEDED TO BUDGET
8 FOR A RANDOMIZED PHASE II CLINICAL TRIAL. AND, TWO,
9 IF YOU DO HAVE A MORE FOCUSED CLINICAL TRIAL WHERE
10 ONE OF THE COHORTS ARE NOT IN THERE, THEN YOU MIGHT
11 REDUCE THE BUDGET. SO I CAN'T GIVE YOU AN EXACT
12 BUDGET BECAUSE ACTUALLY THAT'S SOMETHING THAT CIRM
13 SCIENTIFIC STAFF WOULD NORMALLY WORK WITH THE
14 APPLICANT DURING THE PREFUNDING PHASE OF A GRANT
15 APPLICATION TO WORK OUT THE DETAILS OF THE BUDGET.

16 MS. SAMUELSON: DOES STAFF HAVE A BEST
17 ESTIMATE AT THIS POINT OF WHAT THAT WOULD ENTAIL,
18 WHETHER A REDUCTION OR AN INCREASE?

19 DR. FEIGAL: WE THINK IT WOULD PROBABLY --
20 WE SUSPECT IT WOULD BE -- 20 MILLION WOULD BE THE
21 CEILING, AND WE SUSPECT IT WOULD BE SOMETHING LESS
22 THAN 20 MILLION. UNTIL WE TALK TO THE APPLICANT, WE
23 DON'T KNOW.

24 MR. TORRES: SO IN OTHER WORDS, OUR
25 CONDITIONS ARE MORE RESTRICTIVE THAN THE NIH AND THE

BARRISTERS' REPORTING SERVICE

1 FDA HAVE PUT ON THIS APPLICANT?

2 DR. FEIGAL: THE FDA, YOU HAVE TO
3 REMEMBER, IS LOOKING AT SAFETY, AND THE NIH ISN'T
4 FUNDING THE PHASE II COMPONENT.

5 MR. TORRES: SO WHY WOULD WE PROVIDE A
6 GRANT AND NOT LET THEM GO BEYOND A SPECIFIC
7 POPULATION AND GET MORE BANG FOR OUR BUCK BY LETTING
8 THEM GO BEYOND THE RESTRICTIONS THAT THE REVIEWERS
9 THINK THEY SHOULD PROVIDE?

10 DR. FEIGAL: WELL, I THINK THERE WERE TWO
11 ISSUES. ONE, THIS IS WHERE CIRM IS INVESTING THE
12 DOLLARS, AND THEY THOUGHT THE BEST INVESTMENT FOR
13 CIRM WAS FOR THIS GROUP TO FOCUS ON PATIENTS WITH
14 RECENT MI. THAT WOULD BE THE COHORT OF THE PATIENT
15 POPULATION BECAUSE THE HEART IS STILL REMODELING
16 WHERE THIS TYPE OF THERAPEUTIC APPROACH, GIVEN HOW
17 THE INVESTIGATORS THINK IT COULD WORK, WOULD BE THE
18 MOST LIKELY TO BENEFIT. IT'S NOT RULING OUT THE
19 ALSO -- BECAUSE THE MORE DISTANT CONGESTIVE HEART
20 FAILURE PATIENTS WERE A SEPARATE COHORT OF PATIENTS
21 WITH A SEPARATE CONTROL GROUP.

22 DR. PRICE: SOMEWHERE IN MY E-MAIL, AND I
23 CAN'T FIND IT NOW TODAY, THERE WAS A SLIDE DECK
24 WHICH WAS PROVIDED BY THE GRANTEE IN THIS CASE.

25 MS. LANSING: TALK A LITTLE LOUDER.

BARRISTERS' REPORTING SERVICE

1 DR. PRICE: THAT SLIDE DECK APPARENTLY
2 MADE THE CASE THAT IT WOULD BE MORE INEFFICIENT TO
3 DO THESE THINGS SERIATIM BOTH BECAUSE OF HAVING TO
4 REAPPLY FOR FEDERAL CLEARANCE AND FOR OTHER REASONS.
5 AND SINCE THE GRANTEE'S REPRESENTATIVES ARE HERE IN
6 THE AUDIENCE, MAYBE, FOR THE BENEFIT OF THE BOARD,
7 THEY SHOULD EXPLAIN WHY THEY THINK IT WOULD BE MORE
8 INEFFICIENT TO DO THESE AS CLINICAL TRIALS SERIATIM
9 RATHER THAN AT THE SAME TIME.

10 MS. LANSING: I HAVE THE SAME QUESTION.
11 THIS IS SHERRY. THAT'S ONE OF THE REASONS I WANTED
12 TO BE ON BECAUSE THEY MADE THAT POINT. SO I'M
13 CURIOUS AS TO WHY WE'RE NOT RECOMMENDING THAT.

14 CHAIRMAN THOMAS: OKAY. DR. FEIGAL, LET'S
15 GO TO MR. JUELSGAARD. THEN I THINK THAT -- DR.
16 PRIETO AFTER MR. JUELSGAARD.

17 DR. JUELSGAARD: SO THIS QUESTION SORT OF
18 FALLS IN LINE WITH WHAT SENATOR TORRES WAS ASKING
19 AND ALSO WHAT DR. PRICE WAS ASKING. SO WHAT WE'VE
20 DONE IS IN THIS RE-REVIEW, WE'VE TAKEN A MUCH
21 SMALLER GROUP OF INDIVIDUALS; THAT IS, THE CHAIRMAN
22 OF THE GRANTS WORKING GROUP AND THEN ONE ADDITIONAL
23 MEMBER WHO HAS EXPERTISE IN THIS AREA, AS IT SAYS ON
24 THE SLIDE, PLUS A PATIENT ADVOCATE. AND SO IT BEGS
25 THE QUESTION OF IF THERE'S A BROAD ENOUGH EXPERTISE

BARRISTERS' REPORTING SERVICE

1 IN THAT SMALL GROUP TO MAKE THESE KIND OF
2 RECOMMENDATIONS, INCLUDING LIMITING THE SCOPE OF THE
3 PATIENTS THAT ARE GOING TO POTENTIALLY BE SUBJECTED
4 TO A CLINICAL TRIAL.

5 SO THESE ARE REALLY JUDGMENT CALLS NOW AT
6 THIS POINT, WHICH LACK THE BENEFIT THAT THE ORIGINAL
7 LARGE GROUP GAVE WHEN IT REVIEWED THESE PROJECTS AT
8 A PREVIOUS TIME.

9 DR. FEIGAL: IF I MAY SAY, JUST TO BE
10 CLEAR, THESE COMMENTS CAME UP DURING THE ORIGINAL
11 REVIEW. SO THESE AREN'T DE NOVO RECOMMENDATIONS
12 THAT ARE BEING MADE. SO THIS ISN'T SOMETHING THAT
13 HADN'T BEEN DISCUSSED. THERE WERE OTHER SEVERE
14 ISSUES ABOUT THE APPLICATION AT THE TIME THAT ROSE
15 TO THE TOP. THEY HADN'T FINISHED THE PRECLINICAL,
16 THEY HADN'T EVEN FILED THE IND.

17 SO I HEAR WHAT YOU'RE SAYING, BUT THESE
18 ARE NOT DE NOVO ISSUES THAT AROSE JUST WITH A SUBSET
19 OF THE GWG.

20 DR. PRIETO: I GUESS MY CONCERN OR
21 QUESTION IS WHETHER THIS DECISION TO ONLY TAKE THE
22 RECENT MI WAS DONE WITH SOME CLINICAL EXPERTISE ON
23 THE PART OF THE REVIEWERS. FROM MY UNDERSTANDING OF
24 THIS GRANT AND WHAT I RECALL FROM THE ORIGINAL
25 REVIEW, WHAT THEY'RE LOOKING AT IS THE PHENOMENON OF

BARRISTERS' REPORTING SERVICE

1 REMODELING, NOT THE ACUTE VASCULAR AND
2 THROMBOEMBOLIC EVENTS THAT HAPPEN IN MI, AND THAT'S
3 AN ONGOING PROCESS THAT PROCEEDS FOR SOME TIME AFTER
4 THE ACUTE EVENT. SO I'M NOT SURE THAT THAT
5 RATIONALE OF CUTTING IT OFF AT SIX MONTHS REALLY
6 MAKES SENSE IN THIS.

7 DR. FEIGAL: FIRST OF ALL, WELL, I MEAN
8 THERE ARE TWO POINTS. ONE, THIS IS SOMETHING THAT
9 HAD ARISEN WITH THE FULLER GROUP IN THE ORIGINAL
10 DISCUSSION. BUT I THINK ONE OF THE THINGS THAT
11 WE -- WE CAN DISCUSS IT FURTHER HERE. THE OTHER
12 THING THAT WE COULD DO IS DISCUSS IT WITH THE
13 APPLICANT IN TERMS OF WORKING IT OUT IN THE
14 PREFUNDING DISCUSSIONS THAT WE NORMALLY DO WITH THE
15 APPLICANT, PARTICULARLY SINCE WE'RE NOT AT THIS
16 POINT SAYING HALVE THE BUDGET BECAUSE AT THIS TIME
17 WE GOT RECOMMENDATIONS IN TWO DIFFERENT DIRECTIONS.
18 ONE, WE THINK THEY UNDERBUDGETED AND, TWO, IF WE
19 WANT A MORE FOCUSED CLINICAL TRIAL.

20 SO MAYBE WHAT WOULD MAKE SENSE, IT'S JUST
21 A SUGGESTION, IS MAYBE THAT WE ACTUALLY WORK WITH
22 THE APPLICANT ON SOME OF THESE ISSUES BEFORE THE
23 MONEY GOES OUT THE DOOR.

24 CHAIRMAN THOMAS: THANK YOU, DR. FEIGAL.
25 I THINK THAT SINCE WE'RE GETTING A LOT OF DISCUSSION

BARRISTERS' REPORTING SERVICE

1 ON THIS TOPIC, THAT IT WOULD BEHOOVE US TO GET SOME
2 COMMENT.

3 MR. SHEEHY: I THINK YOU WERE ABOUT TO
4 BRING THE APPLICANT UP. I DO THINK THAT THE FRAME
5 IN WHICH WE'RE LOOKING AT THIS PARTICULAR ISSUE IS
6 ONE THAT THE BOARD OUGHT TO ADDRESS DIRECTLY. SO I
7 THINK WE SHOULD WEIGH IN ON THIS ISSUE MYSELF. AND
8 THE FRAME WAS REALLY ONE OF ECONOMIC EFFICIENCY,
9 WHICH IS NOT ACTUALLY THE MISSION OF THIS BOARD. IF
10 WE CAN GET TO MORE PATIENTS FASTER AND IT MAKES THE
11 BEST SENSE CLINICALLY, THAT SHOULD BE OUR
12 MOTIVATION.

13 AND I THINK IF YOU LISTEN TO DR. FEIGAL'S
14 COMMENTS, SHE WAS TALKING ABOUT BEST INVESTMENT.
15 AND THIS BOARD AND THIS AGENCY DOESN'T EXIST AS A VC
16 FIRM, BUT AS ACTUALLY TO GET BENEFITS TO PATIENTS.
17 AND MY STRONG SUSPICION IS THAT WE COULD SERVE MORE
18 PATIENTS BETTER -- WE ARE MAKING A BET, BUT THIS
19 WHOLE AGENCY IS A BET. WE WOULD SERVE MORE PATIENTS
20 BETTER BY DOING THE LARGER GROUP IS KIND OF THE
21 SENSE THAT I'M GETTING. BUT REALLY THE FRAME WITH
22 WHICH THIS PARTICULAR RECOMMENDATION WAS MADE WAS
23 ECONOMIC EFFICIENCY, NOT BEST OUTCOMES FOR PATIENTS.

24 SO I JUST WANT TO PUT THAT PARTICULAR -- I
25 THINK IF WE COULD HEAR FROM THE APPLICANTS, THAT

BARRISTERS' REPORTING SERVICE

1 WOULD BE GREAT.

2 CHAIRMAN THOMAS: DR. TROUNSON.

3 DR. TROUNSON: WELL, I'M NOT SURE I AGREE
4 WITH JEFF ON THAT. I THINK, AS ELLEN HAS SAID, THE
5 PATIENTS THAT HAVE HAD THE EARLY MI ARE MORE LIKELY
6 TO RESPOND. AND SO I THINK IT WAS TAKEN AT THAT
7 BASIS, THAT ESSENTIALLY IF YOU WERE ABLE TO SHOW
8 THAT YOU GET A RESPONSE WITH THOSE PATIENTS, THEN IT
9 WOULD GIVE YOU HEART TO GO AND TREAT THE PATIENTS
10 THAT HAD THE MORE CHRONIC CONDITIONS. SO THAT'S THE
11 WAY THAT THE REVIEWERS EXPLAINED IT.

12 THE SENSE THAT WE UNDERBUDGETED WAS
13 SOMETHING THAT WAS A CONCERN TO THEM, BUT BASICALLY
14 IF YOU'RE ABLE TO GET THE INFORMATION ON THE MORE
15 LIKELY RESPONDER, THAT WOULD GIVE YOU MORE HEART TO
16 WANT TO CONTINUE TO TREAT THE MORE CHRONIC
17 CONDITION. SO THAT WAS -- WE'RE ASKED TO GIVE YOU
18 THAT INFORMATION, AND THAT'S THE WAY I VERY CLEARLY
19 HEARD THE INFORMATION DIRECT.

20 MR. SHESTACK: DR. FEIGAL, I FEEL LIKE YOU
21 ARE PRESENTING A THIRD POSSIBILITY IN A WAY, A WAY
22 OF SORT OF WORKING THROUGH A PROCESS WHEN YOU TALK
23 ABOUT THE PREFUNDING PROCESS, WHICH SEEMS
24 INTERESTING TO ME AND IN LINE WITH WHAT I THINK PART
25 OF OUR MISSION IS, WHICH IS LOOKING TO HELP OUR

BARRISTERS' REPORTING SERVICE

1 APPLICANTS SUCCEED. SO COULD YOU JUST EXPLAIN TO ME
2 AND ANYONE ELSE WHO DOESN'T KNOW HOW THAT PROCESS
3 WORKS, AND WHAT ARE SOME OF THE MOVEMENTS THAT CAN
4 HAPPEN DURING THAT PREFUNDING PROCESS?

5 DR. FEIGAL: IN THE PREFUNDING REVIEW,
6 WHICH IS NOT -- I'M NOT CREATING IT DE NOVO. THIS
7 IS SOMETHING WE ALWAYS DO. WE WORK WITH THE
8 APPLICANT TO GO THROUGH MUTUALLY AGREED MILESTONES,
9 WE GO THROUGH THEIR BUDGETS, WE LOOK AT ACTIVITIES,
10 ASCERTAIN WHAT'S APPROPRIATE, WHAT'S REALLY NEEDED
11 IN TERMS OF WHAT THEY NEED TO GET DONE TO REACH
12 THEIR MILESTONES, HELP THEM WITH THINKING THROUGH
13 THE SUCCESS CRITERIA, THEIR PROGRESS MILESTONES,
14 CRITERIA FOR THAT. SO WE GO THROUGH ALL OF THE
15 DIFFERENT CONDITIONS, AND THEN ALL OF THAT
16 INFORMATION IS PART OF THE NOTICE OF GRANT AWARD
17 WHEN IT GOES OUT. SO THAT BEFORE ANY MONEY GOES OUT
18 THE DOOR, WE HAVE THESE ITERATIVE DISCUSSIONS WITH
19 THE APPLICANT.

20 MR. SHESTACK: SO, IN EFFECT, IT IS
21 SOMEWHAT OF A REFINEMENT OF THE PROPOSAL AT THE VERY
22 END?

23 DR. FEIGAL: WELL, IT'S IN ORDER TO
24 IMPLEMENT IT. SO WE HAVE TO HAVE MORE DETAIL IN
25 TERMS -- AND MAKE SURE WE'RE IN MUTUAL AGREEMENT

BARRISTERS' REPORTING SERVICE

1 ABOUT WHAT WE'RE DEFINING AS IMPORTANT MILESTONES,
2 THE CRITERIA, AND THE ACTIVITIES THAT THEY NEED TO
3 GET THERE, AND THE BUDGET THAT GOES WITH IT.

4 MR. SHESTACK: THANK YOU.

5 CHAIRMAN THOMAS: I WOULD LIKE, SINCE THE
6 ISSUE THAT HAS SORT OF BEEN PUT ON THE TABLE HERE IS
7 THE VIABILITY OF THE PROCEDURE WITH RESPECT TO
8 CHRONIC PROBLEMS, I THINK THIS IS A GOOD TIME TO GET
9 TO PUBLIC COMMENT, HEAR FROM DRS. LITVAK AND
10 DR. MARBAN AND MARBAN ON THIS SUBJECT BECAUSE THAT
11 WOULD INFORM THIS DISCUSSION. PLEASE REMEMBER IN
12 YOUR PUBLIC COMMENT, YOU ARE CONFINED TO THREE
13 MINUTES. DR. LITVAK, WELCOME.

14 DR. LITVAK: THANK YOU VERY MUCH. I'LL
15 LIMIT MY COMMENTS TO THREE MINUTES.

16 FIRST OF ALL, I WANT TO GO ON THE RECORD
17 AND THANK THE CIRM CLINICAL AND SCIENTIFIC
18 LEADERSHIP FOR THEIR PATIENCE AND DILIGENCE IN
19 HELPING US -- HELPING THE PROCESS ON ALL THESE
20 RE-REVIEWS. THEIR EFFORTS SHOULD BE DULY NOTED.

21 I WANT TO MAKE A COUPLE OF POINTS HERE
22 BEFORE I INTRODUCE THE GENTLEMAN BEHIND ME. WE'RE
23 VERY GRATEFUL AND WE'RE EXCITED ABOUT DOING THIS
24 IMPORTANT STUDY. I PERSONALLY RECOMMENDED, WHEN I
25 JOINED THE COMPANY, ADDING THE LATER GROUP. I DON'T

BARRISTERS' REPORTING SERVICE

1 WANT TO CALL THEM A CHRONIC GROUP, THE LATER GROUP.
2 THE ORIGINAL GROUP IS ZERO TO SIX MONTHS OR ONE
3 MONTH TO SIX MONTHS AND THE LATER GROUP IS SIX
4 MONTHS TO A YEAR.

5 THE ORIGINAL DISTINCTION WAS, IN FACT,
6 SOMEWHAT ARTIFICIAL. THERE REALLY IS NO MECHANISTIC
7 DISTINCTION BETWEEN SOMEBODY WHO'S FOUR MONTHS OUT
8 AND SOMEBODY WHO'S EIGHT MONTHS OUT.

9 LET'S LOOK AT THE MECHANISM OF ACTION AS
10 WE UNDERSTAND IT. WE KNOW FROM THE CADUCEUS TRIAL
11 THAT THE MECHANISM OF ACTION OF OUR THERAPY IS THAT
12 IT REDUCES SCAR SIZE AND PROMOTES REGENERATION OF
13 HEART MUSCLE CELLS. OUR GOAL IS TO INTERFERE WITH
14 THE PROCESS THAT ALAN DISCUSSED, WHICH IS
15 REMODELING, WHICH IS THE ENLARGEMENT OF THE HEART
16 THAT OCCURS AFTER LARGE HEART ATTACKS. THAT PROCESS
17 DOESN'T TAKE DAYS OR WEEKS. IT TAKES YEARS TO
18 OCCUR.

19 WHAT WE'RE TRYING TO DO IS INTERFERE EARLY
20 ON. THE REASON I WANTED TO GO AND ADD THIS MORE --
21 OLDER COHORT WAS IT VASTLY EXPANDS THE NUMBER OF
22 PATIENTS THAT WE CAN INCLUDE IN THE CLINICAL TRIAL
23 AND THE NUMBER OF PATIENTS THAT WE ULTIMATELY CAN
24 ADDRESS. THE INFRASTRUCTURE OF DOING THIS WITH ONE
25 TRIAL ALLOWS US TO BE EXTREMELY EFFICIENT IN TERMS

BARRISTERS' REPORTING SERVICE

1 OF COST. IT'S ONE TRIAL, IT'S ONE APPROVAL, IT'S
2 ONE ADMINISTRATION, ONE SET OF DATABASES, ETC., ETC.
3 IT ALSO SAVES YEARS. IF WE WANT TO GO TO A PHASE
4 III IN THREE YEARS, WE NEED TO HAVE THE BEST PATIENT
5 POPULATION IDENTIFIED. IF WE SPENT THREE YEARS
6 DOING AN ARTIFICIAL GROUP FIRST AND THEN THREE MORE
7 YEARS DOING THE OTHER GROUP LATER, WE'RE SIX YEARS
8 AWAY FROM A PHASE III, AND WE'RE DELAYING THERAPY TO
9 PATIENTS.

10 WE'RE VERY, VERY FOCUSED ON DELIVERING
11 THERAPY TO PATIENTS. I WASN'T -- OBVIOUSLY WE'RE
12 NOT PRIVY TO THE RATIONALE OF THE INDIVIDUALS ON THE
13 REVIEW COMMITTEE. WE'RE GRATEFUL TO THEM. I'M
14 SPEAKING FROM THREE POINTS OF VIEW. NO. 1, AS A
15 CARDIOLOGIST, I'VE TREATED A LOT OF PATIENTS POST
16 INFARCTION. THEY START TO DETERIORATE IN THE END OF
17 THE FIRST YEAR AND IN THE SECOND YEAR. WE NEED TO
18 INTERRUPT THAT PROCESS.

19 NO. 2, AS A BUSINESSPERSON, TALK ABOUT
20 INVESTMENT. I'VE BEEN SUCCESSFUL IN BUSINESS. THIS
21 IS THE BEST BUSINESS APPROACH FOR US BECAUSE IT
22 APPROACHES THE LARGEST ADDRESSABLE MARKET THAT WE
23 POSSIBLY CAN IN THE FASTEST WAY.

24 FINALLY, AS ALL OF YOU KNOW, I AM A
25 PASSIONATE BELIEVER IN THE MISSION OF CIRM, AND THAT

BARRISTERS' REPORTING SERVICE

1 MISSION IS TO GET THERAPIES TO AS MANY PATIENTS AS
2 POSSIBLE AS FAST AS POSSIBLE. SO THAT'S WHY I
3 PROPOSE THAT.

4 I'D LIKE TO INTRODUCE DR. TIM HENRY. DR.
5 HENRY CAME ALL THE WAY FROM MINNESOTA TO SPEAK TO
6 YOU TODAY. HE'S THE PRINCIPAL INVESTIGATOR OF THIS
7 ALL STAR TRIAL. HE IS CERTAINLY THIS COUNTRY'S MOST
8 EMINENT CLINICAL RESEARCHER IN CARDIAC STEM CELLS
9 AND PROBABLY IN THE WORLD. AND HE'S GOING TO GIVE
10 HIS POINT OF VIEW.

11 DR. HENRY: THANK YOU, MR. CHAIRMAN.
12 REALLY APPRECIATE THE OPPORTUNITY TO SAY A FEW
13 WORDS. FIRST OF ALL, I'LL START BY WE HAVE HAD --
14 I'M THE DIRECTOR OF RESEARCH AT MINNEAPOLIS HEART
15 INSTITUTE AND A PROFESSOR AT THE UNIVERSITY OF
16 MINNESOTA. WE ARE ALSO ONE OF THE PRINCIPAL
17 INVESTIGATORS FOR THE NIH-SPONSORED STEM CELL
18 CENTER. SO WE HAVE EXTENSIVE EXPERIENCE WITH HUMAN
19 CARDIOVASCULAR STEM CELLS, OVER TEN CELLS, OVER 30
20 CLINICAL TRIALS, AND OVER 400 PATIENTS THAT WE'VE
21 ACTUALLY TREATED IN MINNEAPOLIS. SO HAVE A LOT OF
22 EXPERIENCE WITH TRIAL DESIGN.

23 AND I THINK FROM MY PERSPECTIVE, THIS IS
24 CLEARLY RIGHT NOW THE SINGLE MOST EXCITING TRIAL FOR
25 TREATMENT FOR CARDIOVASCULAR STEM CELLS FOR ACUTE

BARRISTERS' REPORTING SERVICE

1 MYOCARDIAL INFARCTION. I THINK I REALLY WANT TO
2 EMPHASIZE THREE KEY POINTS. NO. 1, IT'S BASED ON
3 REALLY EXCELLENT PRECLINICAL DATA AND REALLY
4 EXCELLENT PHASE I DATA.

5 AND THEN THE THIRD THING, I THINK THE
6 TRIAL DESIGN IS VERY UNIQUE, BUT I REALLY WOULD LIKE
7 TO EMPHASIZE THIS HAS REALLY GONE UNDER EXTENSIVE
8 REVIEW WITH BOTH THE NIH AND THE FDA AND IS APPROVED
9 THIS WAY. AND I THINK THE -- IT ALLOWS TO PUT IN
10 THE PHASE I SAFETY TRIAL, WHICH IS ACTUALLY
11 SPONSORED BY THE NIH, TOGETHER WITH THE PHASE II
12 TRIAL, WHICH WILL TAKE A LOOK AT -- I ALSO WOULD NOT
13 REALLY CALL IT CHRONIC. IT'S REALLY DIVIDING ACUTE
14 MYOCARDIAL INFARCTION WITHIN THE FIRST YEAR AND
15 ALLOWS US TO REALLY LOOK AT THE TWO TIME PERIODS
16 WITHIN THE FIRST YEAR, BUT BOTH WOULD REALLY BE
17 RECENT. WE'RE REALLY NOT LOOKING AT CHRONIC HEART
18 FAILURE PATIENTS IN THIS SITUATION.

19 SO FROM MY PERSPECTIVE, IT'S A VERY
20 EXCITING DESIGN THAT'S ALREADY APPROVED BY THOSE
21 OTHER AGENCIES, AND WE'RE REALLY READY TO START
22 WITHIN THE NEXT FEW MONTHS. AND IT WOULD MAKE IT
23 VERY EFFICIENT TO DO IT AS THE TRIAL IS CURRENTLY
24 DESIGNED. THANK YOU.

25 CHAIRMAN THOMAS: THANK YOU, DR. HENRY.

BARRISTERS' REPORTING SERVICE

1 ADDITIONAL PUBLIC COMMENT?

2 MR. SOGUES: LADIES AND GENTLEMEN, GOOD
3 AFTERNOON. MY NAME IS EDWARD SOGUES (PHONETIC), AND
4 I'M SUPPOSED TO BE A DEAD MAN. AND I'M HERE ALIVE
5 TODAY IN FRONT OF YOU. IN AUGUST 2012 I HAD A
6 MASSIVE HEART SURGERY, AND I WAS RUSHED TO
7 CEDARS-SINAI HOSPITAL. IN THE EMERGENCY ROOM, WHILE
8 AN EKG WAS BEING PERFORMED ON ME, THE DOCTOR WAS
9 VERY BRUTAL WHILE READING THE EKG. HE SAID, "YOUR
10 HEART IS DYING. WE HAVE TO GET YOU IMMEDIATELY INTO
11 SURGERY." THAT WAS THE BEGINNING OF MY RECOVERY
12 BECAUSE FOUR STINTS WERE INSTALLED IN MY HEART IN
13 THE FOLLOWING TWO WEEKS. AND THEN I HAD ONE OF THE
14 ARTERY CLOGGED 80 PERCENT, THE OTHER ONE CLOGGED 100
15 PERCENT, AND THE INSTALLATION OF THE STINTS WAS
16 OBVIOUSLY EXTREMELY IMPORTANT.

17 WHILE I WAS AT THE HOSPITAL RECOVERING, A
18 DOCTOR ENTERED IN MY ROOM AND SAID HE WAS PART OF
19 CADUCEUS, WHICH WAS A STEM CELL RESEARCH PROGRAM.
20 HE EXPLAINED TO ME THE BENEFITS THAT THEY WERE
21 TRYING TO ACHIEVE THROUGH THIS RESEARCH AND ASKED ME
22 IF I WOULD BE PART OF THAT RESEARCH. I FOUND THE
23 IDEA MIND BOGGLING BECAUSE I THOUGHT THAT THIS WAS
24 REALLY GOING TO THE FOREFRONT OF SCIENCE, AND I
25 THOUGHT THAT IT WAS AN AMAZING, AMAZING AVENUE. AND

BARRISTERS' REPORTING SERVICE

1 I THOUGHT THAT I WOULD HAVE LIKED IN MY OWN MODEST
2 WAY TO BE PART OF IT.

3 WHEN I LEFT THE HOSPITAL, I DID AS MUCH
4 RESEARCH AS I COULD, READING AND GOING THROUGH
5 MEDICAL EXPERT OPINIONS, AND I DECIDED ULTIMATELY TO
6 VOLUNTEER. I WENT THROUGH THE REMOVAL OF MY HEART'S
7 CELLS THROUGH BIOPSY. MY CELLS WERE GROWN IN
8 LABORATORY TO A SIZABLE NUMBER, AND THEN THEY WERE
9 REINSERTED INTO MY HEART IN A SOLUTION. AND THIS
10 WAS IN THE CYCLE OF ONE AND A HALF MONTH. AND THEN
11 I CONTINUED THROUGH ALL THE TRIAL TESTS IN THE
12 FOLLOWING MONTHS.

13 AND IN THE SAME TIME, I HAD MY OWN
14 CARDIOLOGIST FOLLOWING ME. AND I USED TO VISIT MY
15 CARDIOLOGIST ON A REGULAR BASIS MONTHLY, AND 11
16 MONTHS ALMOST AFTER MY HEART ATTACK I WAS VISITING
17 MY DOCTOR, AND A TECHNICIAN CAME INTO THE ROOM AND
18 PERFORMED AGAIN EKG, WHAT HE USED TO DO IN THE
19 BEGINNING OF EVERY SESSION. AND LIKE A CLOCKWORK, I
20 ASKED HIM, BECAUSE I WAS ALWAYS COURTEOUS, "HOW DOES
21 IT LOOK LIKE?" AND HE LOOKED AT MY CHART, HE LOOKED
22 INTO MY FILE, AND SAID, "YOU ARE SUPPOSED TO HAVE
23 HAD A HEART ATTACK, RIGHT? BUT NOTHING HERE
24 INDICATES YOU HAD ONE." THAT WAS THE GREATEST
25 MOMENT OF TRIUMPH I COULD EVER GO THROUGH.

BARRISTERS' REPORTING SERVICE

1 I RECENTLY BECAME GRANDFATHER LAST WEEK.
2 I'D LIKE THIS TO BE AVAILABLE TO EVERYONE. THANK
3 YOU VERY MUCH.

4 CHAIRMAN THOMAS: THANK YOU.

5 (APPLAUSE.)

6 CHAIRMAN THOMAS: DR. MARBAN.

7 DR. MARBAN: EDUARDO MARBAN. I WOULD LIKE
8 TO OFFER SOME COMMENTS BASED ON MY POSITION AS
9 HAVING BEEN THE PRINCIPAL INVESTIGATOR OF THE
10 DISEASE TEAM THAT LED TO THE IND THAT PROVIDED THE
11 BASIS FOR THIS TRIAL. ONE HAS TO DO WITH THE
12 SCIENCE, AND THE OTHER HAS TO DO WITH CIRM'S GRANT
13 OPERATING POLICIES.

14 WITH REGARD TO THE SCIENCE, WE HAVE HAD
15 SOME VERY INTERESTING GLIMMERS THAT, EVEN THOUGH
16 CADUCEUS FOCUSED ON RELATIVELY RECENT MI'S IN THE
17 THREE-MONTH-OLD TIME FRAME, SOME OF OUR PATIENTS,
18 SIX OF OUR PATIENTS ACTUALLY HAD PREVIOUS HEART
19 ATTACKS THAT WERE OLD. UNFORTUNATELY THEY HAD A NEW
20 HEART ATTACK ON TOP OF AN OLD ONE. AND IF YOU
21 POSITED THAT THE STUFF ONLY WORKED ON THE RECENT
22 ONE, WE HAD AN INTERNAL CONTROL BUILT INTO THOSE
23 PATIENTS ANATOMICALLY. WE COULD COMPARE THE
24 RESPONSES IN THE NEW INJURY AND THE OLD INJURY, AND
25 PRECISELY THE SAME RESPONSES WERE SEEN IN THE OLD

BARRISTERS' REPORTING SERVICE

1 INJURY AS IN THE NEW INJURY, RESORPTION OF ABOUT
2 HALF OF THE SCAR AND REGROWTH OF NEW HEART MUSCLE.

3 SO THAT SELF-VALIDATES TO ME THE PREMISE
4 THAT PERHAPS WE SHOULD BROADEN THE ELIGIBILITY
5 CRITERIA.

6 THE OTHER STORY WAS IN A PATIENT WHO WAS
7 THE VERY FIRST PATIENT RANDOMIZED TO RECEIVE THE
8 CARDIOSPHERE-DERIVED CELLS. HE UNFORTUNATELY
9 SUFFERED FROM A MANUFACTURING FAILURE, BUT HE STAYED
10 IN THE TRIAL. IN THE PROCESS OF DOING SO HAD FOUR
11 BASELINE MAGNETIC RESONANCE IMAGES THAT SHOWED THAT
12 HE HAD 33 PERCENT OF HIS HEART TURN TO SCAR. HE
13 THEN CAME TO ME AND SAID, "DR. MARBAN, I'D LIKE YOU
14 TO ASK THE FDA FOR EXTRAORDINARY PERMISSION FOR ME
15 TO UNDERGO A SECOND BIOPSY AND ACTUALLY GET MY HEART
16 CELLS IN AN OPEN LABEL THING 14 MONTHS AFTER MY
17 HEART ATTACK." I SAID, "I'LL BE HAPPY TO ASK THE
18 FDA BECAUSE YOU'VE BEEN SUCH A LOYAL SUBJECT, BUT
19 IT'S UNLIKELY THAT THEY'LL SAY YES."

20 THEY SAID YES. HE HAD A BIOPSY, HE GOT
21 TREATED, AND HIS SCAR SIZE WENT FROM 33 PERCENT TO
22 19 PERCENT.

23 SO THESE ARE VERY CONVINCING SCIENTIFIC
24 FACTS THAT TO ME TELL ME WE SHOULD BROADEN THE
25 CRITERIA.

BARRISTERS' REPORTING SERVICE

1 THE COMMENT ABOUT CIRM HAS TO DO WITH THE
2 FACT THAT AS THE PI OF A DISEASE TEAM, I'VE HAD
3 REGULAR INTERACTIONS WITH CIRM STAFF. AND THE
4 INTERACTIONS WITH CIRM STAFF ARE RIGOROUS, AND I
5 WOULD SAY THAT THEY'RE THOROUGH AND PROACTIVE. AND
6 THAT TO PUT ADDITIONAL CONDITIONS, PRECONDITIONS, ON
7 THIS GRANT WOULD BE A MISTAKE. I THINK I WOULD BEG
8 THE ICOC TO JUST APPROVE IT BECAUSE IT IS A
9 WONDERFUL, WONDERFUL STUDY, AND WE WILL DO THE
10 CITIZENS OF CALIFORNIA A GREAT SERVICE, AND WE WILL
11 MAKE A VICTORY FOR CIRM IF WE DO THIS. THANK YOU.

12 CHAIRMAN THOMAS: ANY OTHER PUBLIC
13 COMMENT? OKAY. DO I HEAR A MOTION?

14 DR. PRICE: I HAVE A MOTION TO APPROVE
15 THIS DISEASE TEAM PROPOSAL AND LIFT THE CONDITIONS
16 THAT WERE ESTABLISHED BY THE REVIEW COMMITTEE.

17 MR. JUELSGAARD: I SECOND THAT MOTION.

18 CHAIRMAN THOMAS: I THINK, IF I CAN JUST
19 CLARIFY, THE FIRST CONDITION I DON'T BELIEVE YOU ARE
20 REFERRING TO BECAUSE THAT WAS SORT OF A BENIGN WE
21 ALWAYS DO THAT CONDITION.

22 DR. FEIGAL: EVEN IF YOU TELL US TO LIFT
23 IT, WE WON'T. WE HAVE TO MAKE SURE THE PHASE I
24 SAFETY IS ENSURED.

25 CHAIRMAN THOMAS: SO YOU'RE REFERRING TO

BARRISTERS' REPORTING SERVICE

1 THE SECOND CONDITION, WHICH IS THE LENGTH OF TIME
2 FROM THE MI IN THE COHORTS TO BE EVALUATED. OKAY.
3 FURTHER DISCUSSION BY MEMBERS OF THE BOARD ON THE
4 MOTION?

5 MS. LANSING: I HOPE I HEARD ENOUGH OF
6 THIS. SO WE'RE ALL COMFORTABLE, THEN, THAT WE
7 SHOULDN'T DO IT ALL AT ONCE AS DR. LITVAK TALKED
8 ABOUT?

9 CHAIRMAN THOMAS: NO. THE MOTION WAS THAT
10 WE DO DO IT ALL AT ONCE.

11 MS. LANSING: OKAY. THAT'S WHAT I WAS
12 HOPING YOU WOULD SAY, BUT I COULDN'T HEAR IT RIGHT.
13 SO THE MOTION IS THAT WE DO IT ALL AT ONCE AS DR.
14 LITVAK SUGGESTED IN HIS COMMENTS?

15 MR. TORRES: IN THAT CASE VOTE AYE.

16 CHAIRMAN THOMAS: THAT'S CORRECT.

17 MS. LANSING: THANK YOU. I'M HAVING
18 TROUBLE HEARING. I APOLOGIZE. I'LL SECOND THAT.

19 CHAIRMAN THOMAS: OKAY.

20 DR. LUBIN: SO COULD YOU PLEASE SAY WHY --
21 GIVE THE COMMENT THAT THE REVIEWERS FELT SO STRONGLY
22 ABOUT THAT WE'RE DISMISSING BY THE COMMENTS WE'VE
23 JUST HEARD?

24 DR. FEIGAL: JUST SO YOU'RE CLEAR, IN THE
25 DESIGN THAT THE APPLICANTS ARE PROPOSING -- EVEN IN

BARRISTERS' REPORTING SERVICE

1 THE DESIGN THAT THE APPLICANTS ARE PROPOSING, IT'S
2 TWO COHORTS. THERE'S THE EARLY COHORT AND THERE'S
3 THE LATER COHORT. SO THEY'RE GOING TO BE ANALYZING
4 THEM WITH DIFFERENT CONTROL ARMS RELEVANT TO THAT
5 COHORT. SO THEY ARE TREATING THEM SEPARATELY. THE
6 EFFICIENCY IS THAT THEY'LL GO TO THE SAME SITES, AND
7 THE SINGLE IRB COULD BE UTILIZED FOR GOING THROUGH
8 IT.

9 THE RATIONALE, PRESUMABLY WHY THE
10 RATIONALE WHY IT'S TWO COHORTS RATHER THAN ONE IS
11 THAT THEY TOO THINK THAT THERE MIGHT BE -- I DON'T
12 MEAN TO READ INTO THEM; BUT SINCE THEY DID DO IT AS
13 TWO COHORTS, THAT THERE ARE SOME DIFFERENCES IN HOW
14 THE REMODELING TAKES PLACE. AND SO THEY DO WANT THE
15 ABILITY TO ANALYZE THEM SEPARATELY.

16 MS. SAMUELSON: MR. CHAIRMAN, ISN'T THERE
17 ALSO A MATTER OF PATIENT NEED AND URGENCY COMPELLING
18 THE EXPANSION OF THE TRIAL BECAUSE MORE MIGHT WELL
19 BE LEARNED RATHER THAN WAITING?

20 DR. FEIGAL: ALL I CAN SAY IS THAT PEOPLE
21 WHO LOOKED AT IT AND LOOKED AT THE EVIDENCE THAT WAS
22 PROVIDED THOUGHT THE MOST LIKELY PLACE WHERE THIS
23 PROJECT COULD ACHIEVE BENEFIT WAS IN THE MORE RECENT
24 MI COHORT, THAT IT COULD BE A SMALLER, MORE QUICKLY
25 RUN TRIAL, AND THEY COULD GET TO THEIR ANSWER

BARRISTERS' REPORTING SERVICE

1 SOONER. BUT I THINK IF THE ARGUMENT IS ECONOMIC
2 EFFICIENCY, THAT'S A DIFFERENT SET OF QUESTIONS.
3 AND I THINK WE ALSO UNDERSTAND CHRONIC HEART
4 FAILURE, AND I KNOW THAT DR. LITVAK ISN'T
5 CONSIDERING SIX MONTHS TO 12 MONTHS THE ENTIRE
6 POPULATION OBVIOUSLY OF CONGESTIVE HEART FAILURE.

7 I THINK IN A MARKETING ISSUE, IT'S
8 OBVIOUSLY FOR INVESTORS VERY, VERY INTERESTED IN THE
9 POPULATION THAT'S MUCH BIGGER, THOSE WHO HAVE HAD A
10 CHRONIC CONDITION WELL AFTER A HEART ATTACK. IT'S
11 JUST THAT THE REVIEWERS FELT THAT THEIR BEST BET FOR
12 ACTUALLY HAVING A POSITIVE BENEFIT FOR THE PATIENTS
13 WHO ENROLL IN A TRIAL WOULD BE IN THAT MORE RECENT
14 COHORT.

15 OFTEN WHEN WE DESIGN CLINICAL TRIALS, YOU
16 TRY TO DESIGN IT SO THAT THE HOPE IS YOU'RE
17 DESIGNING IT FOR THE PATIENT WHO MIGHT POTENTIALLY
18 BENEFIT.

19 CHAIRMAN THOMAS: CALLING THE QUESTION.
20 MARIA, PLEASE READ THE ROLL ON THIS.

21 MR. HARRISON: CHAIR, COULD I JUST RESTATE
22 THE MOTION SO IT'S CLEAR FOR THE RECORD? THE MOTION
23 IS TO APPROVE FUNDING FOR APPLICATION 5735 WITHOUT
24 THE SECOND CONDITION REGARDING THE NATURE OF THE
25 COHORT.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: THANK YOU, MR. HARRISON.
2 MARIA, PLEASE ROLL CALL VOTE.
3 MS. BONNEVILLE: ROBERT PRICE.
4 DR. PRICE: YES.
5 MS. BONNEVILLE: DAVID BRENNER. JACOB
6 LEVIN.
7 DR. LEVIN: YES.
8 MS. BONNEVILLE: ANNE-MARIE DULIEGE.
9 DR. DULIEGE: YES.
10 MS. BONNEVILLE: MARCY FEIT.
11 MS. FEIT: YES.
12 MS. BONNEVILLE: MICHAEL FRIEDMAN. LEEZA
13 GIBBONS.
14 MS. GIBBONS: YES.
15 MS. BONNEVILLE: MICHAEL GOLDBERG. SAM
16 HAWGOOD.
17 DR. HAWGOOD: YES.
18 MS. BONNEVILLE: STEPHEN JUELSGAARD.
19 DR. JUELSGAARD: YES.
20 MS. BONNEVILLE: SHERRY LANSING.
21 MS. LANSING: YES.
22 MS. BONNEVILLE: BERT LUBIN.
23 DR. LUBIN: YES.
24 MS. BONNEVILLE: MICHAEL MARLETTA. PHIL
25 PIZZO. CLAIRE POMEROY.

BARRISTERS' REPORTING SERVICE

1 DR. POMEROY: YES.
2 MS. BONNEVILLE: FRANCISCO PRIETO.
3 DR. PRIETO: AYE.
4 MS. BONNEVILLE: CARMEN PULIAFITO.
5 DR. PULIAFITO: YES.
6 MS. BONNEVILLE: ROBERT QUINT. DUANE
7 ROTH. JOAN SAMUELSON.
8 MS. SAMUELSON: YES.
9 MS. BONNEVILLE: JEFF SHEEHY.
10 MR. SHEEHY: YES.
11 MS. BONNEVILLE: JONATHAN SHESTACK.
12 MR. SHESTACK: YES.
13 MS. BONNEVILLE: OSWALD STEWARD.
14 DR. STEWARD: YES.
15 MS. BONNEVILLE: JONATHAN THOMAS.
16 CHAIRMAN THOMAS: YES.
17 MS. BONNEVILLE: ART TORRES.
18 MR. TORRES: AYE.
19 MS. BONNEVILLE: KRISTINA VUORI.
20 DR. VUORI: YES.
21 MS. BONNEVILLE: JAMES ECONOMOU.
22 DR. BRENNER.
23 DR. BRENNER: I THOUGHT I SAW A LETTER
24 FROM SOMEONE FROM UCSD THAT WOULD BE A CONFLICT FOR
25 ME.

BARRISTERS' REPORTING SERVICE

1 MR. HARRISON: IT'S NOT, BUT YOU'RE FREE
2 TO ABSTAIN.

3 DR. BRENNER: I'M HAPPY TO VOTE YES.

4 CHAIRMAN THOMAS: THE MOTION PASSES.
5 CONGRATULATIONS.

6 (APPLAUSE.)

7 DR. FEIGAL: THAT WAS ONE.

8 CHAIRMAN THOMAS: WHY DON'T WE -- WHICH DO
9 YOU HAVE NEXT UP HERE, THE DUCHENNE? YOU WANT TO
10 PROCEED TO THAT, ELLEN?

11 DR. FEIGAL: ACTUALLY WHAT I'D LIKE TO DO
12 IS HAVE IT -- FOR SOME REASON IT'S FROZEN.

13 MS. LANSING: JAMES, AM I RECUSED FROM THE
14 OTHER ONES?

15 CHAIRMAN THOMAS: JAMES, SHERRY WAS ASKING
16 IF SHE'S CONFLICTED.

17 DR. FEIGAL: WHILE SHE'S TRYING TO PULL
18 THAT ONE UP --

19 MS. LANSING: I THINK I AM.

20 DR. POMEROY: OTHER UC'S ARE, SO YOU ARE
21 BY DEFINITION, SHERRY.

22 DR. FEIGAL: SO THE NEXT ONE IS THE
23 PROPOSAL ON THE RETINITIS PIGMENTOSA. AND THIS ONE,
24 BASICALLY IT WAS RECOMMENDED FOR FUNDING. AND THEY
25 JUST SUGGESTED THAT THERE BE A CIRM MANAGEMENT SITE

BARRISTERS' REPORTING SERVICE

1 VISIT. THE KEY CONCERNS FROM THE GRANT REVIEW GROUP
2 HAD PRIMARILY BEEN THAT THE APPLICANT WAS SUGGESTING
3 A TWO-PRONGED APPROACH FOR DEVELOPMENT OF A THERAPY,
4 STARTING FIRST WITH A GOOD TISSUE PRACTICE LEVEL OF
5 MANUFACTURING AND THEN CHANGING TO GOOD
6 MANUFACTURING PROCESS.

7 THEY WERE ASKED TO PROVIDE A CERTAIN
8 AMOUNT OF NEW INFORMATION ABOUT WHERE THEY REALLY
9 WERE WITH THE MANUFACTURING PROCESS OF THIS
10 THERAPEUTIC PRODUCT. THE REVIEWERS TOOK A LOOK AT
11 IT. THEY WERE CONVINCED BY THE DATA THEY RECEIVED
12 THAT THE MANUFACTURING WAS AT A GOOD MANUFACTURING
13 PRACTICE LEVEL OF DEVELOPMENT. THEY DID, HOWEVER,
14 SUGGEST THAT CIRM DO A SITE VISIT TO GAIN MORE
15 IN-DEPTH KNOWLEDGE ABOUT THE MANUFACTURING AND THE
16 RESEARCH PROJECT STATUS.

17 MR. TORRES: SO MOVED AS RECOMMENDED.

18 CHAIRMAN THOMAS: IS THERE A SECOND?

19 MS. GIBBONS: SECOND.

20 CHAIRMAN THOMAS: SECONDED BY LEEZA.

21 MOVED BY SENATOR TORRES. DO WE HAVE DISCUSSION ON
22 THIS BY MEMBERS OF THE BOARD? JOAN.

23 MS. SAMUELSON: WHAT WOULD THE SITE
24 VISIT -- WHAT WOULD THE INCLUSION OF THE SITE VISIT
25 THEN ENTAIL? MIGHT THAT --

BARRISTERS' REPORTING SERVICE

1 DR. FEIGAL: THAT'S NOT A CONDITION. THEY
2 JUST RECOMMENDED THAT -- WE CAN DO A SITE VISIT
3 WITHOUT ANYBODY SUGGESTING IT TO US. WHAT IT WOULD
4 BE, WHAT WE'D LIKE TO DO IS GO OVER SOME MORE OF THE
5 DETAILS ABOUT THE MANUFACTURING, USUALLY THEIR
6 STANDARD OPERATING PROCEDURES. THERE'S A PARTICULAR
7 AMOUNT OF ASSAYS AND CRITERIA THAT NEED TO BE IN
8 PLACE IN ORDER TO HAVE GOOD MANUFACTURING. SO IT'S
9 BASICALLY JUST GOING OVER SOME OF THESE MORE
10 IN-DEPTH DETAILS, INFORMATION THAT WASN'T REQUESTED
11 AT THE TIME OF THE ADDITIONAL ANALYSIS, BUT THINGS
12 AS A FUNDING AGENCY WE WANT TO WORK OVER WITH THE
13 APPLICANT TO MAKE SURE ALL THESE DETAILS WERE
14 ACTUALLY IN PLACE.

15 MS. SAMUELSON: SURE. BUT IT'S NOT
16 ASSUMED THAT THAT WOULD SIGNIFICANTLY CHANGE THE
17 SCOPE OR COST OF THE GRANT?

18 DR. FEIGAL: I THINK THIS WOULD BE
19 SOMETHING WE WOULD NORMALLY WANT TO DO WITH THE
20 APPLICANT IS GO OVER SOME OF THESE ISSUES
21 PARTICULARLY SINCE THE APPROACH WAS SORT OF UNUSUAL
22 IN THE WAY IT GOT PRESENTED IN THE APPLICATION. SO
23 WE JUST WANT TO MAKE SURE THAT THINGS WERE GOING IN
24 THE RIGHT DIRECTION. SO, NO, WE DON'T SEE IT AS A
25 SHOWSTOPPER AT ALL.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: THIS IS NOT MEANT TO BE
2 LIMITING. IT'S A PROCESS THING HERE AND IS NOT A
3 PRECONDITION AT ALL.

4 FURTHER DISCUSSION BY MEMBERS OF THE
5 BOARD? HEARING NONE, DO WE HAVE PUBLIC COMMENT ON
6 THIS ITEM? HEARING NONE, MARIA, PLEASE DO A ROLL
7 CALL FOR THIS ITEM.

8 MS. BONNEVILLE: ROBERT PRICE.

9 DR. PRICE: YES.

10 MS. BONNEVILLE: DAVID BRENNER.

11 DR. BRENNER: YES.

12 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

13 DR. DULIEGE: YES.

14 MS. BONNEVILLE: LEEZA GIBBONS.

15 MS. GIBBONS: YES.

16 MS. BONNEVILLE: SAM HAWGOOD.

17 DR. HAWGOOD: YES.

18 MS. BONNEVILLE: STEPHEN JUELSGAARD.

19 DR. JUELSGAARD: YES.

20 MS. BONNEVILLE: BERT LUBIN.

21 DR. LUBIN: YES.

22 MS. BONNEVILLE: CARMEN PULIAFITO.

23 DR. PULIAFITO: YES.

24 MS. BONNEVILLE: ROBERT QUINT. DUANE
25 ROTH. JOAN SAMUELSON.

BARRISTERS' REPORTING SERVICE

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MS. SAMUELSON: YES.

MS. BONNEVILLE: JEFF SHEEHY.

MR. SHEEHY: YES.

MS. BONNEVILLE: JONATHAN SHESTACK.

MR. SHESTACK: YES.

MS. BONNEVILLE: JONATHAN THOMAS.

CHAIRMAN THOMAS: YES.

MS. BONNEVILLE: ART TORRES.

MR. TORRES: AYE.

MS. BONNEVILLE: KRISTINA VUORI.

DR. VUORI: YES.

CHAIRMAN THOMAS: THANK YOU. THE MOTION
CARRIES. CONGRATULATIONS.

DR. FEIGAL: THIRD PROPOSAL IS FOR THE
COMBINATION THERAPY, AN ANTISENSE OLIGONUCLEOTIDE
AND A SMALL MOLECULE. THIS ADDITIONAL ANALYSIS
RESULTED IN A MODIFIED GRANT REVIEW GROUP
RECOMMENDATION. AS YOU RECALL, IT WAS NOT
RECOMMENDED FOR FUNDING AS A DISEASE TEAM. THE
ADDITIONAL ANALYSIS, AGAIN, AGREED IT SHOULD NOT BE
RECOMMENDED FOR FUNDING AS A DISEASE TEAM. HOWEVER,
THEY RECOMMENDED THE APPLICANTS BE ALLOWED TO REVISE
THE PROPOSAL ALONG THE LINES OF AN EARLY
TRANSLATIONAL AWARD WITH A REDUCED SCOPE AND BUDGET
WITH TOTAL BUDGET APPROXIMATELY UP TO WHAT WE

BARRISTERS' REPORTING SERVICE

1 NORMALLY HAVE FOR AN EARLY TRANSLATION AWARD, DIRECT
2 COSTS USUALLY APPROXIMATELY \$3.5 MILLION IN DIRECT
3 COST. WE GUESSTIMATED IT WOULD BE AROUND A CEILING
4 TOTAL OF SIX MILLION. BUT OBVIOUSLY WE NEED TO
5 CHECK IT WITH THE INSTITUTIONAL ISSUES IN TERMS OF
6 INDIRECTS. AND THAT CIRM FUND THE REVISED PROPOSAL.

7 ONE OF THE KEY CONCERNS THAT AROSE FROM
8 THE GRANT REVIEW GROUP REVIEW OF THE ORIGINAL
9 APPLICATION HAD FOCUSED ON THE LACK OF ANY
10 DEMONSTRABLE CLINICAL BENEFIT AT 24 WEEKS FROM THE
11 RANDOMIZED CLINICAL TRIAL IN PATIENTS WITH DUCHENNE
12 MUSCULAR DYSTROPHY.

13 THIS WAS A PHASE II-B TRIAL OF THE SINGLE
14 AGENT, ANTISENSE OLIGONUCLEOTIDE, IN PATIENTS WITH
15 DMD. THE FACT THAT THIS TEAM, THE APPLICANT, WANTED
16 TO DO A COMBINATION THERAPY WITH THIS PARTICULAR
17 ANTISENSE OLIGONUCLEOTIDE PLUS A SMALL MOLECULE AND
18 THE AGENT IN THAT COMBINATION, THE ANTISENSE, NOT
19 DEMONSTRATING CLINICAL BENEFIT WAS THOUGHT TO BE A
20 VERY SIGNIFICANT ISSUE AND DIMINISHED THE RATIONALE
21 FOR THE COMBINATION APPROACH.

22 ANOTHER KEY CONCERN HAD BEEN ON THE
23 INTERACTIONS OF THE APPLICANT WITH THE COMPANY AS
24 CIRM WAS BEING ASKED TO PAY FOR ALL OF THE
25 MANUFACTURING COST OF THE ANTISENSE OLIGONUCLEOTIDE.

BARRISTERS' REPORTING SERVICE

1 AT A 12-WEEK UPDATE FROM A PRESS RELEASE, WHICH WAS
2 COMPANY SPONSORED, THE REVIEWERS RECEIVED
3 INFORMATION THAT WAS CONTAINED IN THAT
4 COMPANY-SPONSORED PRESS RELEASE AT THE 36-WEEK MARK
5 OF THE PHASE II-B TRIAL. THIS IS DATA THAT WASN'T
6 AVAILABLE AT THE TIME OF THE INITIAL REVIEW. AND AT
7 THAT 12-WEEK UPDATE -- AND, BY THE WAY, THERE WILL
8 BE ANOTHER 12-WEEK UPDATE IN OCTOBER. AT THAT
9 36-WEEK UPDATE, IT SHOWED A STATISTICALLY
10 SIGNIFICANT BENEFIT AS MEASURED BY THE SIX-MINUTE
11 WALK TEST OF THE HIGHER DOSE OF SINGLE AGENT
12 ANTISENSE OLIGONUCLEOTIDE.

13 SO THE REVIEWERS, THE ADDITIONAL ANALYSIS
14 REVIEWERS, HAD THIS INFORMATION IN HAND WHEN THEY
15 LOOKED AT THE DATA THIS TIME. AND ALTHOUGH THEY
16 FELT THAT IT WAS PROMISING, THEY EXPRESSED CONCERN
17 ABOUT THE CONTROLS AND THE METHODS OF ANALYSIS.
18 THIS IS A VERY SMALL TRIAL. IT'S 12 PATIENTS TOTAL,
19 BUT IT'S ALSO AN ORPHAN DISEASE, BUT IT'S A VERY
20 SMALL NUMBER OF PATIENTS, A TOTAL OF FOUR ON THAT
21 HIGHER DOSE. TWO PATIENTS WHO RAPIDLY PROGRESSED IN
22 THEIR DISEASE ON THE LOWER DOSE ARM OF THIS
23 ANTISENSE OLIGONUCLEOTIDE WERE NOT INCLUDED IN THE
24 ANALYSIS.

25 SO AT ANY RATE, THE REVIEWERS THOUGHT IT'S

BARRISTERS' REPORTING SERVICE

1 INTERESTING, BUT IT'S PRELIMINARY. IT'S NOT
2 COMPELLING. IT'S CERTAINLY WORTHY OF FURTHER
3 INVESTIGATION.

4 THE COMPANY IS PROCEEDING WITH THE SINGLE
5 AGENT ANTISENSE OLIGONUCLEOTIDE FOR THE DMD
6 INDICATION. THE APPLICANT WANTS TO DEVELOP A
7 COMBINATION PRODUCT, BUT THE REVIEWERS DID NOT FEEL
8 THEY HAD RECEIVED ANY COMPELLING PRELIMINARY DATA ON
9 DYSTROPHIN AND WHETHER THE SMALL MOLECULE THAT'S
10 GOING TO BE USED IN THE COMBINATION WITH THE
11 ANTISENSE OLIGONUCLEOTIDE COULD ACTUALLY INCREASE
12 THE DYSTROPHIN LEVELS.

13 THE APPLICANT NOTED THAT THE SMALL
14 MOLECULE COULD POTENTIALLY REQUIRE LESS ANTISENSE
15 OLIGONUCLEOTIDE TO GET TO THE SAME LEVEL OF
16 DYSTROPHIN. AND ALTHOUGH THIS WAS FELT TO BE A
17 POTENTIALLY INTERESTING ECONOMIC RATIONALE, THEY
18 WERE NOT CONVINCED THAT THIS WOULD HAVE ANY CLINICAL
19 IMPACT.

20 ANOTHER CONCERN FROM THE GRANT REVIEW
21 GROUP REVIEW HAD BEEN ON THE IMPACT TO THE HEART.
22 THESE REVIEWERS DISCUSSED THIS ISSUE. THEY FELT THE
23 SMALL MOLECULE IS SKELETAL MUSCLE SPECIFIC, BUT IT
24 WAS NOT FELT TO BE A RATE LIMITING POINT. AND THE
25 REVIEWERS AGREED THAT ANY BENEFIT TO RESPIRATORY

BARRISTERS' REPORTING SERVICE

1 FUNCTION COULD HAVE A GOOD IMPACT AND THAT THE
2 CARDIAC CONCERN WOULD BE A SECOND ORDER ISSUE.

3 OVERALL, THE REVIEWERS THOUGHT THE
4 PROPOSAL WAS NOT READY FOR A DISEASE TEAM AWARD, BUT
5 THEY DID FEEL STRONGLY THAT CIRM SHOULD INVEST IN A
6 REVISED PROPOSAL THAT WAS FOCUSED ON FURTHER
7 UNDERSTANDING THE COMBINATION APPROACH AS A
8 POTENTIAL THERAPEUTIC CANDIDATE.

9 THE INTENT OF FUNDING A REVISED PROPOSAL
10 AT THE LEVEL OF AN EARLY TRANSLATION AWARD WOULD BE
11 TO ENSURE THAT THE SCIENTIFIC QUESTIONS FOR THE
12 COMBINATION CANDIDATE ARE ADEQUATELY ADDRESSED AND
13 THAT THE APPLICANTS ARE ABLE TO GET ANY BUSINESS
14 RELATIONSHIPS APPROPRIATELY ALIGNED.

15 CHAIRMAN THOMAS: THANK YOU, DR. FEIGAL.
16 WILL YOU JUST DESCRIBE THE PROCESS YOU WOULD
17 UNDERTAKE TO REFINE THIS AS AN EARLY TRANSLATION
18 AWARD?

19 DR. FEIGAL: SO THIS WOULD NOT GO BACK TO
20 A REVIEW. WHAT THIS WOULD ENTAIL IS WE WOULD SEND
21 THEM THE PARAMETERS AND THE BUDGET GUIDELINES FOR
22 WHAT WE CURRENTLY CALL OUR EARLY TRANSLATIONAL
23 AWARD. WE ACTUALLY HAVE PRECEDENCE FOR DOING THIS
24 IN THE PAST. AND THAT THEY ACTUALLY SEND US A
25 REVISED PROPOSAL WITH A SCOPE THAT'S CONSISTENT WITH

BARRISTERS' REPORTING SERVICE

1 AN EARLY TRANSLATION AWARD, TRYING TO LOOK AT
2 PRECLINICAL PROOF OF CONCEPT AND TRYING TO DEVELOP A
3 DEVELOPMENT CANDIDATE, AND A REVISED BUDGET THAT'S
4 APPROPRIATE TO THE SCOPE OF ACTIVITIES. AND THAT WE
5 WOULD REVIEW IT INTERNALLY AND WORK WITH THE
6 APPLICANT IN TERMS OF MOVING THAT FORWARD.

7 CHAIRMAN THOMAS: THANK YOU. DO I HEAR A
8 MOTION ON THIS PROPOSAL?

9 MR. SHEEHY: I WOULD MOVE --

10 MR. SHESTACK: I WOULD MOVE THAT WE
11 APPROVE UNDER THE CONDITIONS THAT HAVE BEEN
12 SPECIFIED SO FAR.

13 CHAIRMAN THOMAS: MOVED BY MR. SHESTACK.

14 DR. LUBIN: SO I HAVE CONCERNS WITH THE
15 STRATEGY.

16 CHAIRMAN THOMAS: IS THERE A SECOND TO
17 THAT MOTION?

18 MR. SHEEHY: SECOND.

19 CHAIRMAN THOMAS: SECONDED BY DR. SHEEHY.
20 DR. LUBIN.

21 DR. LUBIN: SO --

22 CHAIRMAN THOMAS: DID I JUST ELEVATE MR.
23 SHEEHY TO DR. SHEEHY? CONGRATULATIONS.

24 DR. LUBIN: SO IT JUST IT SEEMS TO ME
25 WE'RE REWRITING THE GRANT, THE APPLICATION, AND

BARRISTERS' REPORTING SERVICE

1 WE'RE NOT LETTING IT GO THROUGH A REVIEW PROCESS
2 THAT EVERYONE ELSE WOULD HAVE TO GO THROUGH. DOES
3 EVERYONE FEEL COMFORTABLE WITH THAT? THAT'S WHAT
4 WE'RE ASKING, THAT THE RECOMMENDATION WAS, WELL,
5 THIS IS MORE EARLY TRANSLATIONAL. IF THEY SUBMITTED
6 IT NOW, WOULD IT PASS -- SAY THEY DIDN'T DO THIS
7 OTHER AND THEY JUST SUBMITTED IT STRAIGHT, WOULD IT
8 PASS THE REVIEW AND THEN GET A SCORE? SO IT JUST
9 SEEMS ODD.

10 NOW, MAYBE WE'VE DONE THIS BEFORE AND I'M
11 NOT FAMILIAR WITH THAT, BUT I JUST QUESTION THE
12 PROCESS HERE.

13 MR. SHEEHY: IF YOUR GOAL IS TO RUN A
14 FAIR -- A GAME, THEN I GUESS YOU'RE RIGHT. I GUESS
15 IF YOUR GOAL IS TO GET THE BEST OUTCOME FOR
16 PATIENTS, THEN I THINK THIS IS AN INNOVATIVE WAY TO
17 DO THIS. WE HAVE A CLEAR NEED HERE. WE HAVE A NEW
18 PIECE OF INFORMATION THAT VALIDATES THEIR APPROACH,
19 BUT THERE WAS A SENSE THAT THEY WERE NOT QUITE READY
20 TO TAKE THIS INTO THE DISEASE TEAM CONSTRUCT, WHICH
21 IS FAIRLY RIGID AND IS SUPPOSED TO GET US TO AN IND.

22 NOW, DO WE TELL THOSE KIDS WITH DMD AND
23 THEIR PARENTS THAT OVER THE STRONG RECOMMENDATIONS
24 OF EXPERIENCED REVIEWERS, WE THINK THEY OUGHT TO
25 WAIT AND COME BACK A YEAR AND A HALF, TWO YEARS

BARRISTERS' REPORTING SERVICE

1 LATER TO GET THIS PROJECT STARTED WHEN IT SEEMS TO
2 HAVE A FAIRLY EASY -- THE QUESTIONS THAT THEY NEED
3 TO ANSWER IN THIS EARLY TRANSLATION GRANT ARE FAIRLY
4 ANSWERABLE. AND IF THEY ANSWER THEM SUCCESSFULLY,
5 THEY WILL BE BACK HERE WITH THE DISEASE TEAM
6 APPLICATION, AND WE WILL HAVE THE OPPORTUNITY TO
7 MAKE A DRAMATIC DIFFERENCE IN THE LIVES OF THESE
8 KIDS.

9 SO TO ME IT'S NOT A QUESTION OF WHETHER
10 WE'RE BEING LIKE THE NIH. WE ARE NOT THE NIH, AND
11 WE SHOULDN'T ASPIRE TO BE THE NIH. WE HAVE A SHORT
12 CLOCK. WE ARE HERE TO MAKE A DIFFERENCE IN THE
13 LIVES OF PATIENTS AS QUICKLY AS WE CAN. WE HAVE A
14 VERY INTERESTING PIECE OF SCIENCE THAT HAS SHOWN, I
15 THINK, TREMENDOUS BENEFIT, AT LEAST IN THOSE HANDFUL
16 OF PATIENTS WHO GOT TREATED IN THAT CLINICAL TRIAL,
17 AND I THINK IT BEHOOVES US TO BE AS AGGRESSIVE AS
18 POSSIBLE. SO THAT WOULD BE MY VIEW ON THAT
19 QUESTION.

20 DR. STEWARD: THANK YOU. I'M NOT GOING TO
21 ARGUE AGAINST THE MOTION, BUT I AM GOING TO ARGUE
22 AGAINST THE PROCESS IN THE FOLLOWING WAY. THIS CAME
23 UP AT THE LAST BOARD MEETING. I DON'T THINK THAT WE
24 SHOULD BE MAKING DECISIONS BASED ON PRESS RELEASES
25 FROM COMPANIES. IT'S JUST NOT SOMETHING THAT WE CAN

BARRISTERS' REPORTING SERVICE

1 JUDGE.

2 NOW, HAVING SAID THAT, THE GRANTS WORKING
3 GROUP HAS GONE BACK AND LOOKED AT THE SCIENCE AND
4 THE FEASIBILITY OF THE PROCESS. AND I'M COMFORTABLE
5 WITH THE RECOMMENDATION THAT THEY MADE, ALTHOUGH,
6 LIKE DR. LUBIN, I'M A LITTLE BIT UNCOMFORTABLE WITH
7 THE PROCESS OF THIS GOING FORWARD, BUT I THINK WE
8 HAVE DONE IT IN THE PAST, AND YOU CAN SPEAK TO THAT.

9 I DO WANT TO SAY THAT I PERSONALLY DON'T
10 WANT TO GET INTO A SITUATION WHERE WE'RE SETTING A
11 PRECEDENT THAT SOMEBODY CAN COME UP WITH A PRESS
12 RELEASE JUST BEFORE OUR BOARD MEETING AND INFLUENCE
13 THE VOTES OF THIS BOARD. IT'S NOT SCIENTIFIC
14 EVIDENCE.

15 MR. SHESTACK: I THINK THAT'S SORT OF --
16 IF I THOUGHT THAT THAT WAS JUST WHAT HAD HAPPENED, I
17 WOULDN'T BE SUCH A STRONG ADVOCATE FOR THIS
18 POSITION. BUT I THINK THAT THE INFORMATION WAS
19 AUTHENTIC INFORMATION, AND THE COMPANY PRESENTED IT,
20 AND THE GRANTS WORKING GROUP COMMITTEE THAT DID
21 RECONSIDERATION HAD AMPLE OPPORTUNITY TO EXAMINE IT,
22 AND DECIDED THAT IT WAS SIGNIFICANT NEW DATA, WHICH
23 I THINK IT WAS. AND THAT IS WHAT WE ARE HERE TO
24 RATIFY, TO AGREE ON. WAS THERE SIGNIFICANT NEW DATA
25 PRESENTED BETWEEN THE TIME OF THE ORIGINAL PROPOSAL

BARRISTERS' REPORTING SERVICE

1 AND THE TIME OF THE GRANT WORKING GROUP DECISION?

2 AND THE ANSWER IS -- AFTERWARDS THE ICOC MEETING,

3 AND THE ANSWER IS THERE WAS.

4 DR. STEWARD: WELL, SO LET ME ASK BECAUSE
5 I'M NOT SURE THAT THAT'S WHAT THE RECOMMENDATION OF
6 THE GRANTS WORKING GROUP WAS BASED ON. I ACTUALLY
7 THINK THE GRANTS WORKING GROUP RECOMMENDATION WAS
8 BASED ON A REVIEW OF THE SCIENCE AND THE EVIDENCE
9 THAT WAS PRESENTED AND INDEPENDENT OF THE PRESS
10 RELEASE. AGAIN, I'M NOT ARGUING AGAINST THE MOTION.
11 I'M JUST NOT WILLING TO VOTE ON SOMETHING POSITIVELY
12 ON THE BASIS OF A PRESS RELEASE.

13 COULD YOU CLARIFY THAT, WHETHER THE GRANTS
14 WORKING GROUP RE-REVIEW ACTUALLY CONSIDERED THAT NEW
15 INFORMATION AS BEING A CRITICAL FACTOR IN THEIR
16 RECOMMENDATION TO FUND IT AS AN ET, EARLY
17 TRANSLATION?

18 DR. FEIGAL: WELL, I THINK IT CERTAINLY
19 WAS AN IMPORTANT FACTOR SINCE THERE HAD BEEN NO
20 EVIDENCE OF CLINICAL BENEFIT FROM THE PRIOR. AND
21 JUST TO CLARIFY, I KNOW WE'RE ALL SKEPTICAL ABOUT
22 PRESS RELEASES. THIS ACTUALLY WAS A PREDEFINED TIME
23 OF RELEASE OF DATA. THERE'S GOING TO BE ANOTHER
24 PREDEFINED RELEASE OF DATA IN OCTOBER FOR THIS
25 CLINICAL TRIAL AT 48 WEEKS. SO REGARDLESS OF

BARRISTERS' REPORTING SERVICE

1 WHETHER WE HAVE A BOARD MEETING, THEY'RE SUPPOSED TO
2 COME OUT AT A 12-WEEK SEGMENT TO GIVE AN UPDATE OF
3 THE RESULTS.

4 SO THESE ARE SOMETHING THE COMPANY SAID
5 THEY WERE GOING TO DO. I KNOW SKEPTICAL PEOPLE CAN
6 SAY IT WAS TIMED VERY BEAUTIFULLY TO BE TWO DAYS
7 BEFORE THE BOARD MEETING, BUT THAT IS WHEN 36 WEEKS
8 WAS UP IN TERMS OF PRESENTING THE DATA. AND IN
9 OCTOBER THE NEXT 12-WEEK SEGMENT IS GOING TO BE UP,
10 AND WE'LL SEE SOMETHING NEW IN OCTOBER FOR THAT.

11 SO YOU'RE RIGHT. WE DIDN'T SEE A REPORT,
12 WE DIDN'T SEE A PAPER. WE JUST SAW A DESCRIPTION
13 THAT WAS A LITTLE BIT MORE ELABORATED ABOUT THE
14 PRESS RELEASE. BUT WE WOULD HAVE LIKED TO HAVE SEEN
15 MORE INFORMATION, AND WE WOULD HAVE LIKED TO HAVE
16 SEEN EVIDENCE THAT THE APPLICANT HAD ACTUALLY WORKED
17 WITH THE COMPANY TO PERHAPS TRY AND GET MORE
18 INFORMATION THAT COULD HAVE BEEN AVAILABLE TO US.
19 BUT IT WAS AN ISSUE BECAUSE THAT WAS A KEY ISSUE AT
20 THE TIME OF THE GRANTS REVIEW GROUP REVIEW. IT WAS
21 NOT THE ONLY ISSUE. THESE OTHER ISSUES ALSO WERE
22 IMPORTANT ABOUT THE DYSTROPHIN LEVELS AND ABOUT WHAT
23 WAS THE LEVEL OF EVIDENCE TO SHOW THAT THIS SMALL
24 MOLECULE -- THERE WAS AN ISSUE THAT IT COULD
25 INCREASE EXON SKIPPING, BUT THERE WASN'T GOOD

BARRISTERS' REPORTING SERVICE

1 EVIDENCE THAT IT INCREASED DYSTROPHIN LEVELS. THESE
2 ARE SOME OF THE QUESTIONS THAT THIS EARLIER TYPE OF
3 TRANSLATION AWARD COULD ADDRESS.

4 CHAIRMAN THOMAS: DR. TROUNSON, THEN MS.
5 GIBBONS, AND THEN MR. JUELSGAARD.

6 DR. TROUNSON: I THINK THE BOARD NEEDS TO
7 CONCENTRATE ON THE SMALL MOLECULE. THE COMPANY IS
8 TAKING THE OLIGONUCLEOTIDE FORWARD. AND THERE IS
9 NOW SOME INFORMATION THAT THAT HAS SOME POSITIVE
10 BENEFITS. WHETHER WE CAN SORT OF SEE THAT IN THE
11 LONGER TERM, AT LEAST SOME EVIDENCE THAT THAT
12 PARTICULAR OLIGONUCLEOTIDE COULD BE TESTED BECAUSE
13 THERE WAS A SECOND ONE THAT'S EVEN FURTHER FORWARD
14 THAT WAS SHOWING SOME BENEFIT.

15 SO IT'S REALLY THAT IT'S THE SMALL
16 MOLECULE. DOES THE SMALL MOLECULE BENEFIT? AND
17 THERE WAS NO DATA PRESENTED THAT SHOWED THAT
18 DYSTROPHIN LEVELS WOULD BE INCREMENTED WITH THAT
19 SMALL MOLECULE. SO THAT'S WHY THE REVIEWERS SAID
20 THEY'RE VERY SUPPORTIVE OF THIS APPROACH WITH THIS
21 GROUP OF PATIENTS, BUT THEY HAVE TO SHOW THAT
22 THERE'S SOME BENEFIT BY THIS SMALL MOLECULE.
23 OTHERWISE YOU GOT NO GAME. THERE IS NO GAME HERE
24 FOR A CLINICAL TRIAL. SO DROP BACK INTO THE
25 TRANSLATION AND GET THE DATA, BRING IT FORWARD WITH

BARRISTERS' REPORTING SERVICE

1 THE BENEFITS, AND THEN WE'VE GOT SOMETHING THAT WE
2 CAN DEAL WITH.

3 I THINK IT'S THE SECOND SMALL MOLECULE
4 THAT'S THE REALLY KEY PART. YEAH, COMBINED WITH ONE
5 OR OTHER OF THOSE, I'D SUGGEST OLIGONUCLEOTIDES, BUT
6 BOTH NOW SEEM TO BE WORKING. THE EXON SKIPPING
7 MOLECULES SEEM TO BE WORKING. BUT DOES THE SMALL
8 MOLECULE PROVIDE THE BENEFIT? AND THAT'S WHAT THE
9 REVIEWERS WERE NOT CONVINCED ABOUT. THERE WAS NO
10 INFORMATION THAT SAID THAT THIS WAS A BETTER
11 APPROACH THAN EITHER ONE OF THOSE TWO THAT ARE
12 ALREADY GOING FORWARD.

13 CHAIRMAN THOMAS: MS. GIBBONS.

14 MS. GIBBONS: JUST A COUPLE QUICK
15 QUESTIONS, DR. FEIGAL. YOU SAID THERE WAS
16 PRECEDENCE FOR US HAVING DONE THIS BEFORE. COULD
17 YOU REMIND US OF WHAT THAT WAS? AND ALSO WHAT IS
18 THE LIKELY BUDGET ADJUSTMENT GOING TO EARLY
19 TRANSLATIONAL?

20 DR. FEIGAL: WELL, LET ME ANSWER YOUR
21 FIRST QUESTION FIRST. IS THAT THE PRECEDENCE HAS
22 BEEN AN APPLICATION THAT WAS REVIEWED AS A
23 DEVELOPMENT CANDIDATE AT THE TIME OF ACTUALLY ONE OF
24 THESE BOARD MEETINGS NOT TOO LONG AGO. IT WAS
25 DECIDED THAT IT REALLY WASN'T AT A DEVELOPMENT

BARRISTERS' REPORTING SERVICE

1 CANDIDATE STAGE. GO BACK TO WHAT WE CALL MORE OF A
2 PRECLINICAL PROOF OF CONCEPT. SO IT'S NOT EXACTLY
3 ANALOGOUS, BUT WE DO HAVE SOME PRECEDENTS OF
4 CHANGING IT TO THE MORE APPROPRIATE LEVEL OF PRODUCT
5 DEVELOPMENT WITH THE APPROPRIATE BUDGET THAT GOES
6 WITH IT.

7 AND THEN YOUR SECOND QUESTION?

8 CHAIRMAN THOMAS: DR. FEIGAL, JUST ADD ON
9 THAT THAT THE THING THAT'S COMMON HERE IS THAT THIS
10 WAS RECOMMENDED BY THE SCIENTISTS IN THE GRANTS
11 WORKING GROUP TO RECAST. AND THAT, I THINK, IS A
12 KEY THING TO NOTE HERE, THAT UPON CONSIDERATION,
13 THAT WAS FELT TO BE THE WAY TO GO.

14 DR. FEIGAL: YEAH. IT'S ACTUALLY MORE
15 RIGOROUS. THE OTHER APPROACH WAS ACTUALLY DECIDED
16 DURING THE BOARD MEETING. THIS IS ACTUALLY COMING
17 AS A RECOMMENDATION FROM THE REVIEWERS.

18 MS. GIBBONS: SO IT GOES FROM THE 20
19 MILLION THAT WAS REQUESTED FOR DISEASE TEAM TO SIX
20 FOR EARLY TRANSLATIONAL?

21 DR. FEIGAL: WHAT WE HAVE TO DO IS WORK
22 OUT WHAT THE INSTITUTIONAL INDIRECTS ARE. IT'S \$3.5
23 MILLION DIRECT COST, AND WE'D HAVE TO WORK OUT -- WE
24 ARE GUESSTIMATING IT'S APPROXIMATELY SIX MILLION
25 TOTAL, AND IT'S A THREE-YEAR AWARD, NOT A FOUR-YEAR

BARRISTERS' REPORTING SERVICE

1 AWARD.

2 MS. SAMUELSON: I'M WONDERING IF WE HAVE
3 ANY SORT OF ESTIMATE FOR THE TOTAL TIME THAT WOULD
4 BE REQUIRED TO RUN IT THROUGH THE EARLY TRANSLATION
5 PROCESS AND THEN COME BACK TO DISEASE TEAM, WHICH IS
6 ABOUT A TWO-YEAR WORKUP TO GET TO FUNDING DECISION
7 AS I'M REMEMBERING IT. AT THAT POINT WE'RE PAST TEN
8 YEARS OF PROP 71, AND I'M NOT SURE IF WE HAVE ANY
9 MONEY LEFT OR TIME. I HOPE WE DO, OF COURSE, HAVE
10 BOTH. BUT I'M NOT SURE IF I'M ASKING FOR DATA HERE
11 OR SEEING THAT WE'RE GOING TO HAVE TO START MAKING
12 THOSE JUDGMENT CALLS, AND THAT WE'RE GOING TO NEED
13 TO KNOW HOW WE RANK THE MOST IMPORTANT THINGS THAT
14 WE'RE DOING THAT COULD HAVE A THERAPEUTIC IMPACT.

15 CHAIRMAN THOMAS: I THINK THAT'S GOING TO
16 BE AN ONGOING DEBATE. I THINK WE NEED TO CONFINE
17 OUR ANALYSIS HERE TO THE SPECIFICS.

18 MS. SAMUELSON: AND MY ONLY ADDITIONAL
19 THOUGHT ON THAT IS THIS IS A SPECIFIC TREATMENT THAT
20 MAY JUST COME TOO LATE FOR OUR MISSION.

21 CHAIRMAN THOMAS: WE HAVE TO PROCEED --

22 DR. JUELGAARD: SO I WOULD JUST LIKE SOME
23 CLARIFICATION ON EXACTLY WHAT THE MOTION IS. SO
24 WHEN I LOOKED AT THE RECOMMENDATION OF THE GRANTS
25 WORKING GROUP, SMALLER, MORE NARROWLY COMPRISED,

BARRISTERS' REPORTING SERVICE

1 THEY SAID DON'T FUND AS A DISEASE TEAM APPLICATION,
2 BUT RATHER, IN ESSENCE, KICK IT BACK TO THE PEOPLE,
3 THE INSTITUTION, THAT ORIGINALLY SOUGHT TO HAVE THIS
4 BE A DISEASE TEAM APPROACH AND RESTYLE IT IN A
5 TRANSLATIONAL CONTEXT.

6 SO MY UNDERSTANDING IS THEIR
7 RECOMMENDATION WAS NOT AT THIS POINT TO APPROVE IT
8 WITH FUNDING FOR EARLY TRANSLATION, BUT RATHER PUT
9 THE ONUS BACK ON THE PEOPLE WHO ORIGINALLY BROUGHT
10 THIS FORWARD.

11 DR. FEIGAL: NO, THAT'S NOT TRUE. THEY
12 ACTUALLY DID RECOMMEND FUNDING THE REVISED PROPOSAL.

13 DR. JUELSGAARD: BUT YOU DON'T KNOW
14 WHETHER THE PEOPLE WHO PRESENTED THIS REALLY WANT TO
15 BE FUNDED AS A REVISED PROPOSAL. HAVE YOU ASKED
16 THEM?

17 DR. FEIGAL: I MEAN OUR FIRST STEP WAS TO
18 ASK YOU BECAUSE WE DON'T WANT TO ASK SOMETHING OF
19 THE APPLICANT BEFORE WE EVEN KNOW IF IT'S AN OPTION.

20 CHAIRMAN THOMAS: SO IN RESPONSE TO MR.
21 JUELSGAARD'S VERY VALID POINT, I IMAGINE THERE ARE
22 PEOPLE HERE WHO COULD GIVE PUBLIC COMMENT THAT COULD
23 ANSWER THAT QUESTION. SO COULD WE TURN TO MEMBERS
24 OF THE PUBLIC WHO WISH TO COMMENT, STARTING ON THAT
25 POINT. PLEASE, AGAIN, CONFINE YOUR COMMENTS TO

BARRISTERS' REPORTING SERVICE

1 THREE MINUTES.

2 DR. NELSON: THANK YOU. IT'S GOOD TO SEE
3 YOU ALL AGAIN. I'M STANLEY NELSON. I'M A PHYSICIAN
4 SCIENTIST AT UCLA, AND I REPRESENT AN OUTSTANDING
5 TEAM OF SCIENTISTS AT UCLA, INCLUDING THE
6 CO-DIRECTOR OF THIS PROJECT, CARRIE MACELI, APRIL
7 PYLE, LEAD STEM CELL SCIENTIST, AND TOPHAN PARMAN
8 (PHONETIC), WHO'S ONE OF OUR CRO TEAM LEADERS AT
9 SRI.

10 I'D LIKE TO POINT OUT THAT THE CEO, CHRIS
11 GARABEDIAN, AND THE EXECUTIVE DIRECTOR OF
12 PRECLINICAL DEVELOPMENT FROM SAREPTA, THE COMPANY IN
13 QUESTION, WERE BOTH HERE AT THE PREVIOUS ICOC
14 MEETING, BUT GIVEN THE TENOR OF THAT, WE DIDN'T
15 ELECT TO HAVE EVERYBODY SPEAK, BUT THEY REMAIN
16 HIGHLY COMMITTED TO DEVELOPING EXON SKIPPING
17 THERAPIES FOR DUCHENNE MUSCULAR DYSTROPHY AND REMAIN
18 A COMMITTED PARTNER FOR THIS.

19 FIRST, WE'D LIKE TO SAY THANK YOU. IT'S A
20 VERY RIGOROUS REVIEW PROCESS. WE APPRECIATE THE
21 IDEA THAT IT COULD COME BACK TO THE GRANTS REVIEW
22 GROUP IN A SMALL WORKING GROUP TO RECONSIDER NEW
23 DATA THAT CAME UP AND SORT OF RECONSIDER THE
24 PACKAGE. WE ARE OKAY -- I THINK THIS IS PERHAPS
25 GETTING TO YOU, MS. SAMUELSON -- WE'RE OKAY WITH THE

BARRISTERS' REPORTING SERVICE

1 LOGIC AND THE IDEA OF MOVING THIS TO AN EARLY
2 TRANSLATIONAL THEME AWARD. MUCH OF WHAT WE WERE
3 DOING IN THE FIRST YEAR OF THE PROPOSAL WAS DOING
4 EXACTLY THAT.

5 SO IT'S A RELATIVELY MODEST MODIFICATION
6 TO THE PROPOSAL FOR WHAT'S BEING PROPOSED. AND WE
7 WOULD IN OUR EFFORTS IN WORKING WITH THE CIRM STAFF
8 PUSH TO DO THAT IN AN EXPEDITED TIME FRAME BECAUSE
9 WE DO BELIEVE IN THE URGENCY OF THIS MISSION AND
10 WISH TO COME BACK FOR, INDEED, FUNDING THE PRE-IND
11 ENABLING STUDIES THAT ARE EXPENSIVE AS WELL AS THE
12 EARLY CLINICAL TRIAL WORK THAT WILL ULTIMATELY COME
13 FROM THIS.

14 I HAD A FEW COMMENTS. DO YOU WANT ME TO
15 JUST MAKE THE COMMENTS AS A CONTINUOUS? IT'S ALMOST
16 AS IF THERE'S A DISCUSSION, SO I DIDN'T WANT TO
17 OVERSTATE.

18 CHAIRMAN THOMAS: WHY DON'T YOU CONTINUE;
19 BUT, AGAIN, YOU HAVE THREE MINUTES.

20 DR. NELSON: PERFECT. I WILL GO QUICKLY.
21 ONE OF THE THINGS THAT WE WOULD LIKE TO INDICATE IS
22 OUR PROPOSAL WAS ONE OF TWO PROPOSALS THAT FROM THE
23 JULY MEETING INITIALLY IDENTIFIED BY THE ICOC AS
24 HAVING SUBSTANTIAL POTENTIAL NEW DATA FOR
25 RECONSIDERATION BY A SUBCOMMITTEE OF THE GRANTS

BARRISTERS' REPORTING SERVICE

1 WORKING GROUP. WE PRESENTED FINDINGS THAT WERE
2 SUBMITTED TO US AND ACTUALLY PUBLICLY AVAILABLE FROM
3 SAREPTA WHICH IS DATA. IT'S NOT A PRESS RELEASE.
4 IT'S NOT A VAGUE DESCRIPTION. IT'S ACTUALLY
5 INDIVIDUAL PATIENT-LEVEL DATA OF SIX-MINUTE WALK
6 TEST. THAT WAS WHAT WAS RELEASED AT THE 36-WEEK
7 TIME POINT.

8 THIS WAS COUPLED WITH DATA THAT THEY
9 RELEASED AND PUBLICLY PRESENTED ON THE INDUCTION OF
10 DYSTROPHIN, WHICH IS FUNDAMENTALLY THE ROOT CAUSE OF
11 THE DISEASE, WHICH WAS PRESENTED AT 24 WEEKS, WHICH
12 ELLEN HAD JUST INDICATED AS WELL. THIS COUPLED WITH
13 OUR OWN PRECLINICAL DATA INDICATING THE SYNERGY
14 BETWEEN THE SMALL MOLECULE AND THEIR CLINICAL AGENT
15 IN A MODIFIED FORM, WHICH IS SKIP EXON 23 IN THE
16 MOUSE WHICH REPAIRS THE MOUSE DEFECT. SO WE CAN SEE
17 DYSTROPHIN INDUCTION OF THE MOUSE DYSTROPHIN FOR
18 EXON 23, AND WE CAN SEE THAT NOW. ACTUALLY WE HAVE
19 SOME RECENT FUNCTIONAL DATA WHICH WAS TOO LATE TO
20 INCLUDE IN THIS UPDATE.

21 MR. HARRISON: IF YOU'D TRY TO WRAP UP
22 YOUR COMMENTS, WE'D APPRECIATE IT.

23 DR. NELSON: PERFECT. SO I WOULD JUST SAY
24 WE FEEL CONFIDENT WITH MOVING THIS FORWARD. WE FEEL
25 COMFORTABLE WITH WORKING WITH THE CIRM STAFF. WE'RE

BARRISTERS' REPORTING SERVICE

1 THANKFUL OF THAT OPPORTUNITY FOR MOVING IT FORWARD.
2 AND WE WOULD EXPECT TO BE MOVING FORWARD WITH
3 ADDITIONAL GRANTS TO FUND THIS INTO THE LATER STAGES
4 AS WELL.

5 CHAIRMAN THOMAS: THANK YOU. OTHER
6 COMMENTS BY MEMBERS OF THE PUBLIC? OTHER COMMENTS
7 BY MEMBERS OF THE BOARD?

8 DR. LUBIN: SO AS A PEDIATRICIAN AND
9 SOMEONE WHO SEES MUSCULAR DYSTROPHY AT OUR HOSPITAL,
10 I'M CERTAINLY NOT OPPOSED TO ANYBODY THAT'S DOING
11 RESEARCH THAT COULD POTENTIALLY HELP CHILDREN AND
12 PEOPLE WITH MUSCULAR DYSTROPHY. I JUST FEEL THAT IF
13 THE PRELIMINARY DATA SUPPORTS THIS IN THE CLINICAL
14 TRIALS THAT'S GONE THROUGH A RIGOROUS STAGE FOR
15 EARLY TRANSLATION, I WOULD DEFINITELY SUPPORT IT. I
16 JUST HAVE SOME CONCERNS; BUT I THINK ONCE WE EMBARK
17 UPON A THREE-YEAR TERM, THEN WE'RE FUNDING FOR THREE
18 YEARS.

19 I THINK OUR RESPONSIBILITY, BESIDES
20 FUNDING SCIENCE THAT HELPS PEOPLE, IS TO USE THE
21 MONEY THAT OUR TAXPAYERS HAVE GIVEN US WISELY. AND
22 SO THAT'S WHY I RAISED THIS CONCERN.

23 DR. TROUNSON: CHAIR, CAN I JUST POINT OUT
24 THAT DR. CLASSEN'S STUDY IN TRANSLATION WAS ONLY A
25 YEAR GOING BEFORE HE CAME TO A DISEASE TEAM. HE

BARRISTERS' REPORTING SERVICE

1 SPOKE TO ME ABOUT THE PACE IN WHICH HE WAS
2 TRAVELING, AND I SUGGESTED THAT HE APPLY FOR A
3 DISEASE TEAM. YOU JUST AWARDED THAT TO HIM AFTER
4 ONE YEAR IN TRANSLATION.

5 SO THIS TEAM CAN MOVE WITH THAT KIND OF
6 PACE. THERE'S NO REASON WHY WE WOULDN'T BE ABLE TO
7 DO THAT APPROPRIATELY. AND I THINK THAT WAS THE
8 SPIRIT AND THE WAY WE DISCUSSED THIS PROJECT WHEN WE
9 RE-REVIEWED IT.

10 CHAIRMAN THOMAS: THANK YOU, DR. TROUNSON.
11 ANY OTHER COMMENTS? MR. HARRISON, CAN YOU PLEASE
12 RESTATE THE MOTION?

13 MR. HARRISON: YES. THE MOTION IS TO
14 APPROVE FUNDING FOR APPLICATIONS 5426 WITH A REVISED
15 PROPOSAL ALONG THE LINES OF AN EARLY TRANSLATION
16 AWARD AND A BUDGET OF UP TO SIX MILLION.

17 CHAIRMAN THOMAS: MARIA, PLEASE, ROLL CALL
18 VOTE.

19 MS. BONNEVILLE: ROBERT PRICE.

20 DR. PRICE: YES.

21 MS. BONNEVILLE: DAVID BRENNER.

22 DR. BRENNER: YES.

23 MS. BONNEVILLE: JACOB LEVIN.

24 DR. LEVIN: YES.

25 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

BARRISTERS' REPORTING SERVICE

1 DR. DULIEGE: YES.
2 MS. BONNEVILLE: MICHAEL FRIEDMAN.
3 DR. FRIEDMAN: YES.
4 MS. BONNEVILLE: LEEZA GIBBONS.
5 MS. GIBBONS: YES.
6 MS. BONNEVILLE: MICHAEL GOLDBERG. SAM
7 HAWGOOD.
8 DR. HAWGOOD: YES.
9 MS. BONNEVILLE: STEPHEN JUELSGAARD.
10 DR. JUELSGAARD: YES.
11 MS. BONNEVILLE: BERT LUBIN.
12 DR. LUBIN: YES.
13 MS. BONNEVILLE: MICHAEL MARLETTA. PHIL
14 PIZZO. CARMEN PULIAFITO.
15 DR. PULIAFITO: YES.
16 MS. BONNEVILLE: ROBERT QUINT. DUANE
17 ROTH. JOAN SAMUELSON.
18 MS. SAMUELSON: YES.
19 MS. BONNEVILLE: JEFF SHEEHY.
20 MR. SHEEHY: YES.
21 MS. BONNEVILLE: JONATHAN SHESTACK.
22 MR. SHESTACK: YES.
23 MS. BONNEVILLE: OSWALD STEWARD.
24 DR. STEWARD: YES, ON THE BASIS OF NEW
25 DATA THAT HAS UNDERGONE SCIENTIFIC REVIEW.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: IS THERE AN ACRONYM FOR
2 THAT RESPONSE?

3 MS. BONNEVILLE: JONATHAN THOMAS.

4 CHAIRMAN THOMAS: YES.

5 MS. BONNEVILLE: ART TORRES.

6 MR. TORRES: AYE.

7 MS. BONNEVILLE: KRISTINA VUORI.

8 DR. VUORI: YES.

9 CHAIRMAN THOMAS: PASSES. DR. NELSON,
10 CONGRATULATIONS.

11 (APPLAUSE.)

12 DR. FEIGAL: AND WHAT WOULD THE CHAIR LIKE
13 NEXT?

14 CHAIRMAN THOMAS: SO WE HAVE THE TWO OTHER
15 ITEMS THAT WERE REFERRED FOR RE-REVIEW. THEY WERE
16 NOT RECOMMENDED FOR APPROVAL. OUR PROCESS HERE, MR.
17 HARRISON, IS THAT I AM ASKING ARE THERE ANY MEMBERS
18 OF THE BOARD WHO WOULD LIKE TO MAKE A MOTION THAT WE
19 FUND EITHER OF THOSE PROPOSALS; IS THAT CORRECT, MR.
20 HARRISON?

21 MR. HARRISON: OR PERHAPS, FIRST, EVEN
22 DISCUSS WHETHER THEY SHOULD BE FUNDED. IF THERE'S
23 PROPRIETARY DATA THAT WOULD NEED TO BE DISCUSSED
24 BEFORE TAKING A VOTE ON THE MOTION, WE'D HAVE TO
25 OTHERWISE TABLE IT.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: OKAY. WELL, I KNOW WITH
2 RESPECT TO ONE OF THE ITEMS, THERE IS PROPRIETARY
3 DATA THAT'S GOING TO BE REQUIRED IN CLOSED SESSION,
4 BUT THAT DOESN'T MEAN WE CAN'T ENTERTAIN A MOTION.
5 IS THERE A MOTION FOR EITHER OF THE TWO?

6 MS. GIBBONS: THANK YOU. YES. I'D LIKE
7 TO MOVE THAT WE FUND PROPOSAL NO. 05416 THAT CAME
8 BACK FROM THE GROUP, GOT RECOMMENDED AGAIN. THAT'S
9 THE ALZHEIMER'S PROPOSAL.

10 MR. TORRES: SECOND.

11 CHAIRMAN THOMAS: MOVED BY MS. GIBBONS,
12 SECONDED BY SENATOR TORRES. MR. HARRISON, I ASSUME
13 THAT WE NEED TO HAVE AS MUCH DISCUSSION APPROPRIATE
14 FOR OPEN SESSION AS POSSIBLE BEFORE WE WOULD TABLE
15 TO GO INTO CLOSED SESSION ON THIS MOTION.

16 MR. HARRISON: THAT'S CORRECT.

17 CHAIRMAN THOMAS: SO IT'S MOVED AND
18 SECONDED. DR. FEIGAL, PERHAPS YOU COULD GIVE MORE
19 DETAIL, AND THEN WE CAN PROCEED WITH BOARD
20 DISCUSSION AND PUBLIC COMMENT.

21 DR. FEIGAL: SO THE DISCUSSION THAT I
22 THINK WE WANT TO TALK ABOUT IS NOT THIS ONE. THE
23 DISCUSSION WE WANT TO TALK ABOUT IS ON 5416. THE
24 BOTTOM LINE FOR THIS ONE WAS -- IGNORE WHAT'S ON
25 YOUR SCREEN BECAUSE IT'S NOT THE RIGHT ONE -- IS THE

BARRISTERS' REPORTING SERVICE

1 ALZHEIMER'S DISEASE PROPOSAL. THAT'S FINE. I CAN
2 JUST TALK VERBALLY.

3 THE KEY CONCERN FROM THE GRANT REVIEW
4 GROUP REVIEW WAS THAT THE APPLICANT IS USING A LOCAL
5 INJECTION FOR A DIFFUSE DISEASE IN THE BRAIN. THE
6 REVIEWERS DID NOT FEEL THERE WAS COMPELLING DATA FOR
7 NEURON MIGRATION IN THE SUBMITTED MANUSCRIPT. SO
8 THE ISSUE WAS THAT THERE WAS KEY DATA THAT WAS SAID
9 TO BE AVAILABLE IN A MANUSCRIPT. AND SO WE ASKED
10 FOR THAT NEW MANUSCRIPT AND ALSO FOR THE JOURNAL
11 EDITOR COMMENT ON THE INFORMATION ABOUT ITS ABILITY
12 TO BE PUBLISHED.

13 THIS IS THE MANUSCRIPT THAT WAS
14 SPECIFICALLY REFERENCED AT THE ICOC MEETING THAT
15 PROMPTED THE CALL FOR ADDITIONAL ANALYSIS. THE
16 MANUSCRIPT IS NOT YET ACCEPTED ALTHOUGH IT'S
17 POTENTIALLY ACCEPTABLE AND WILL REQUIRE MAJOR
18 REVISIONS ACCORDING TO THE JOURNAL EDITOR NOTE. IN
19 ADDITION, HOWEVER, THE STUDIES IN THIS MANUSCRIPT
20 USED MOUSE NSC'S, NOT THE HUMAN NSC'S THAT WERE
21 PROPOSED FOR THE DISEASE TEAM AWARD. AND ALTHOUGH
22 THERE IS SOME INDICATION THAT PATHOLOGY IS AFFECTED
23 AT A DISTANCE FROM THE INJECTION SITE IN ONE OF THE
24 FIGURES, THIS IS A THERAPEUTIC GENE-MODIFIED MOUSE
25 NSC, SO IT WAS DIFFICULT FOR THE REVIEWERS TO

BARRISTERS' REPORTING SERVICE

1 EXTRAPOLATE TO A NON-GENE MODIFIED HUMAN NSC.
2 HOWEVER, THE APPLICANT ALSO PROVIDED
3 ADDITIONAL INFORMATION. IT WASN'T REQUESTED, BUT
4 THEY DID PROVIDE A POSTER FROM THE ALZHEIMER'S
5 MEETING IN VANCOUVER WITH FIGURES THAT ACTUALLY WERE
6 ALREADY CONTAINED AND ASSESSED IN THE GRANT
7 APPLICATION. IN ADDITION, THE APPLICANT PROVIDED
8 UNPUBLISHED DATA OF TWO GRAPHS AND A FIGURE WITH THE
9 FIGURE POTENTIALLY RELEVANT TO THE QUESTION OF HUMAN
10 NSC MIGRATION IN THE TRIPLE TRANSGENIC ALZHEIMER'S
11 DISEASE MOUSE BRAIN, WITH THE CELLS MIGRATING AT
12 LEAST TO REGIONS THAT WERE ADJACENT TO THE
13 HIPPOCAMPUS AND ALONG THE WHITE MATTER TRACKS
14 BORDERING THE HIPPOCAMPUS, BUT THE FIGURE REALLY
15 SHOWED ONLY A VERY SMALL AREA OF THE CORTEX, WHICH
16 IS A SITE OF THE WIDESPREAD DEGENERATION IN
17 ALZHEIMER'S DISEASE, AND IT DID NOT APPEAR TO HAVE
18 THE LABELED CELLS IN THIS REGION.

19 THE ADDITIONAL CONFIDENTIAL MATERIALS THAT
20 WERE SUBMITTED, AND WE'RE NOT PROVIDING MORE DETAILS
21 BECAUSE THE APPLICANTS MADE A POINT THAT THIS WAS
22 CONFIDENTIAL, WERE IN DIFFERENT DISEASE INDICATIONS
23 AND INVOLVED DIFFERENT ANATOMIC AREAS OF DELIVERY OF
24 THE CELLS. AND THE REVIEWERS DID NOT FEEL THAT
25 THESE ADDITIONAL STUDIES, ALTHOUGH INTERESTING, WERE

BARRISTERS' REPORTING SERVICE

1 RELEVANT TO THE DISEASE PROPOSED FOR STUDY IN THIS
2 APPLICATION, THAT OF ALZHEIMER'S DISEASE.

3 THE REVIEWERS DID AGREE THAT MOST
4 RESEARCHERS WILL ACKNOWLEDGE THAT NEURAL STEM CELLS
5 CAN MIGRATE IN THE MOUSE, BUT THERE ARE SIGNIFICANT
6 ANATOMIC AND SPATIAL ISSUES IN MOVING FROM A SMALL
7 ANIMAL BRAIN TO A HUMAN BRAIN. CAN THE CELLS
8 MIGRATE AND FORM NEW CIRCUITRY OVER SEVERAL
9 CENTIMETERS? THE REVIEWERS FELT THAT THERE WAS MUCH
10 MORE PLAUSIBILITY FOR USING THESE CELLS -- AND BY
11 THE WAY, THESE ARE THE SAME CELLS THAT ARE BEING
12 USED IN ANOTHER APPROVED AWARD THAT YOU MADE IN
13 JULY -- IN A LOCALIZED DISEASE AND INJURY SUCH AS
14 SPINAL CORD INJURY, WHICH WAS FELT TO BE A MORE
15 FEASIBLE VOLUMETRIC ISSUE.

16 CHAIRMAN THOMAS: THANK YOU, DR. FEIGAL.
17 COMMENTS BY MEMBERS OF THE BOARD?

18 MS. GIBBONS: IF I MAY PLEASE, THANK YOU
19 VERY MUCH. YOU GUYS HEARD ME REALLY PUSH FOR THIS
20 LAST TIME. IN THE INTERIM I'VE LOOKED AGAIN AT WHAT
21 I THINK IS REALLY A VERY COMPELLING ARGUMENT. I
22 KNOW THE PREMISE ON THIS RE-REVIEW WAS NEW
23 INFORMATION, BUT I REALLY THOUGHT WE WERE GOOD TO GO
24 AND READY TO VOTE IT THROUGH LAST TIME WITHOUT
25 HAVING HAD ANY NEW INFORMATION BECAUSE WE HAVE TWICE

BARRISTERS' REPORTING SERVICE

1 AS A BOARD SUPPORTED THIS APPROACH BEFORE. THIS IS
2 THE COMPANY IN QUESTION HERE THAT HAS THE MOST
3 EXPERIENCE WITH BRAIN STEM CELLS.

4 AND I WANT TO REALLY REMIND US OF WHAT THE
5 STUDIES THAT GOT US TO THIS POINT WERE FOR. FIRST
6 OF ALL, WE DO HAVE \$240 MILLION BUDGETED. WE ARE
7 STILL BELOW THAT NUMBER IF THAT'S RELEVANT TO
8 ANYBODY IN YOUR DECISIONS.

9 DR. FEIGAL: JUST SO YOU KNOW, WE'RE 194
10 MILLION.

11 MS. GIBBONS: EXCELLENT. AND I BELIEVE
12 THIS ONE IS REQUESTING 20 MILLION, WHICH, BY THE
13 WAY, THE COMPANY IS PREPARED TO MATCH -- PROVIDE
14 MATCHING FUNDS FOR THIS 20 MILLION. SO I THINK
15 THAT'S PERSUASIVE AS WELL.

16 BUT IN THIS STUDY MICE WERE EXPOSED TO A
17 WATER MAZE, RIGHT? AND AFTER A WHILE THEY MEMORIZED
18 THE ROUTE TO GET OUT. AND THEN THE MICE WERE AGED
19 UP AND THEY WERE GIVEN AN ALZHEIMER'S-LIKE DISEASE
20 AND THEY COULDN'T FOR THE LIFE OF THEM FIGURE OUT
21 HOW TO GET OUT OF THE WATER AMAZE.

22 SO THEY WERE GIVEN THE INJECTION, AND LO
23 AND BEHOLD, THEY REMEMBERED. THEY REMEMBERED. SO I
24 KNOW THERE'S THIS QUESTION OF LOCALIZED INJECTION
25 AND DO THE CELLS MIGRATE. BUT THESE RESEARCHERS

BARRISTERS' REPORTING SERVICE

1 HAVE SHOWN IN A HUMAN BRAIN AUTOPSY OF A PATIENT
2 THAT HAD BATTEN'S THAT THE CELLS DO MIGRATE. AND
3 THEY'VE ALSO SHOWN CLINICALLY THAT IN OTHER ANIMAL
4 MODELS THAT THE CELLS MIGRATE.

5 BUT WHAT I'M MORE CONCERNED ABOUT HERE IS
6 PROGRAMMATICALLY, WE KNOW, EVERYBODY SAYS IT'S A
7 HUGE UNMET NEED. WE'RE SO QUEUED UP WITH THIS ONE.
8 WE'RE SO GOOD TO GO. THIS IS A SIXTH LEADING CAUSE
9 OF DEATH, THE ONLY ONE IN THE TOP TEN FOR WHICH
10 THERE IS NOTHING, NOTHING, NOTHING, ZILCH.

11 I THOUGHT IT WAS REALLY COMPELLING IN OUR
12 BINDERS THAT WE WERE GIVEN A LETTER FROM DON REED.
13 AND I JUST THOUGHT DON SOMETIMES GETS THINGS DOWN TO
14 THE BASICS, AND THIS TO ME REALLY SPOKE TO MY HEART.
15 HE SAYS, "TO THE BEST OF MY KNOWLEDGE, NO SCIENTIST
16 ANYWHERE IS HAVING SUCCESS WITH ALZHEIMER'S DISEASE
17 THERAPY OR PRODUCTS. IT'S LIKE DARKNESS EVERYWHERE
18 EXCEPT PERHAPS FOR THIS PROJECT." AND THEN HE SAYS,
19 "IT IS THE NATURE OF SCIENCE TO BE CAUTIOUS AND
20 CAREFUL, RIGHTLY SO. BUT EVERY ONCE IN A WHILE, WE
21 NEED TO STEP UP TO THE PLATE AND TRY FOR THE HOME
22 RUN. I THINK THIS IS IT."

23 YOU KNOW, WE NEVER KNOW, AS JEFF OFTEN
24 SAYS, WE DON'T KNOW THE REASON WHY WE DO WHAT WE DO.
25 WE HAVE TO BE AGGRESSIVE ESPECIALLY WITH SOME OF

BARRISTERS' REPORTING SERVICE

1 THESE DISEASES FOR WHICH IT LOOKS SO BLEAK. AND I
2 JUST LIKE, AGAIN, THIS ONE TO ME WASN'T ABOUT THE
3 NEW INFORMATION. I FEEL THAT THEY DID SHOW THAT
4 THERE WAS ENOUGH EVIDENCE FOR REVIEWERS TO -- WE HAD
5 A STANDARD DEVIATION OF 12, YOU GUYS, ON THIS ONE.
6 WE HAD VERY HIGH SCORES IN THE LAST TWO. IN OTHER
7 WORDS, WE HAD SAID GREAT. WE BELIEVE IN THIS.
8 WE'RE SUPPORTING THIS. AND I KNOW DR. FEIGAL
9 EXPLAINED THIS AT THE LAST MEETING, BUT WE DO HAVE
10 THE \$20 MILLION IN THE MATCHING FUNDS, AND WE HAVE
11 THESE COLONIES OF MICE THAT ARE READY TO GO THAT IS
12 NO SMALL AND NO -- IT'S A VERY COSTLY SITUATION AS
13 WELL. IT JUST SEEMS LIKE THE TIMING IS RIGHT.

14 SO I KNOW WE DON'T HAVE TECHNICALLY THE
15 NEW INFORMATION OR IT HASN'T QUALIFIED TO BE
16 CONSIDERED ALTHOUGH I GUESS IT IS POTENTIALLY
17 QUALIFIABLE. I'M NOT EVEN ASKING US TO TALK ABOUT
18 THE NEW INFORMATION. I THINK BASED ON WHAT THIS IS,
19 THAT IT'S CERTAINLY WORTH -- SO BOARD MEMBERS, TALK
20 TO ME ABOUT WHAT YOUR FEELING IS. I THINK IT'S
21 WORTH US VOTING THROUGH.

22 CHAIRMAN THOMAS: THANK YOU.

23 DR. PRIETO: I FEEL THAT LEEZA HAS HIT
24 MOST OF WHAT I WANTED TO SAY VERY WELL, BUT I THINK
25 THAT THERE WAS A LARGE DIFFERENCE OF OPINION IN THE

BARRISTERS' REPORTING SERVICE

1 ORIGINAL REVIEW REGARDING THIS AND REGARDING THE
2 SCIENTIFIC VALIDITY OF SOME OF THE POINTS. I
3 UNDERSTAND THE QUESTIONS ABOUT THE ANIMAL MODEL, AND
4 I'VE NEVER BEEN A LAB SCIENTIST, BUT AS A CLINICIAN
5 I CAN SEE -- I'M SURE THERE IS NO IDEAL ANIMAL MODEL
6 FOR ALZHEIMER'S DISEASE. WE'RE JUST NOT GOING TO
7 FIND ONE. BUT WHEN WE LOOK AT THIS
8 PROGRAMMATICALLY, WHICH IS OUR SCOPE OF OPERATION
9 HERE AT THIS BOARD, IF WE BELIEVE THAT THERE IS A
10 POTENTIAL FOR STEM CELL TREATMENT TO HELP
11 ALZHEIMER'S DISEASE, THEN IS THERE A GROUP BETTER
12 POSITIONED TO SUCCEED IN THAT THAN THIS GROUP? AND
13 DO WE HAVE A PROJECT THAT HAS A BETTER CHANCE OF
14 SUCCESS THAN THIS ONE? I THINK NOT.

15 SO I WOULD VOTE FOR APPROVAL.

16 MS. GIBBONS: IF I MAY, THANK YOU SO MUCH.
17 ONE OTHER THING. IT WAS ALSO BROUGHT UP IN THE
18 REVIEW OF THIS WHOLE BUSINESS OF LOCALIZED INJECTION
19 AND WHAT IF IT JUST WORKED ON THE HIPPOCAMPUS AND
20 JUST ATTACKED MEMORY AND NOTHING ELSE? OH, MY GOD.
21 WHAT IF IT JUST ATTACKED MEMORY AND NOTHING ELSE?
22 WHAT IF WE REALLY COULD HAVE A BENEFIT IN RECOVERED
23 MEMORY? THAT WOULD BE HUGE NEWS-MAKING, BELL
24 RINGING SUCCESS CELEBRATION ALL AROUND THE MEMORY
25 LOSS WORLD IF WE HAD THAT.

BARRISTERS' REPORTING SERVICE

1 SO I JUST WANTED TO ADD THAT ONE
2 ADDITIONAL POINT, THAT EVEN IF WE ONLY HAD THAT,
3 WOULDN'T THAT BE FANTASTIC?

4 CHAIRMAN THOMAS: AND I BELIEVE, DR.
5 FEIGAL, I'M ACCURATE IN SAYING THAT THE PRECLINICAL
6 DATA USING LOCALIZED INJECTIONS INTO THE MICE
7 DIRECTLY INTO THE HIPPOCAMPUS DID RESULT IN SOME
8 RESTORATION OF MEMORY; IS THAT CORRECT?

9 DR. FEIGAL: RIGHT. I'D ALSO LIKE TO
10 MENTION THAT EARLY TRANSLATIONAL AWARD THAT WE KEEP
11 REFERRING TO ACTUALLY DID HAVE LARGE ANIMALS
12 PROPOSED FOR STUDY. AND THAT SEGMENT OF WHAT THEY
13 HAD PROPOSED TO DO HAS NOT YET BEEN DONE.

14 CHAIRMAN THOMAS: GETTING BACK TO THE
15 POINT MS. GIBBONS JUST MADE AND I ASKED ABOUT, THERE
16 WAS PRECLINICAL DATA SHOWING SOME MEMORY RESTORATION
17 THROUGH THE LOCALIZED INJECTION PROTOCOL. SO I
18 THINK THAT'S AN IMPORTANT POINT TO NOTE FOR THE
19 BOARD.

20 OTHER COMMENTS BY MEMBERS OF THE BOARD?

21 DR. HAWGOOD: JUST A CLARIFICATION. ARE
22 THEY CURRENTLY --

23 CHAIRMAN THOMAS: DEAN HAWGOOD, I BELIEVE
24 THAT WAS THE -- THANK YOU. THAT WAS WELL SAID.
25 IT'S SORT OF THE VAMPIRE. SO OTHER COMMENTS BY

BARRISTERS' REPORTING SERVICE

1 MEMBERS OF THE BOARD?

2 DR. FRIEDMAN: I HAVE A QUESTION. THERE
3 ARE A COUPLE OF QUESTIONS I'D LIKE TO ASK THAT I
4 THINK WILL PROBABLY BE PROPRIETARY DATA. CAN I ASK
5 IS THE INTENTION TO VOTE NOW, OR IS THE INTENTION TO
6 DISCUSS IT IN -- THANK YOU.

7 CHAIRMAN THOMAS: YES, THERE ARE SOME
8 PROPRIETARY ITEMS THAT ARE GROUNDS FOR CLOSED
9 SESSION. WE WILL NOT VOTE ON THIS UNTIL AFTER WE
10 COME BACK FROM CLOSED SESSION.

11 OTHER COMMENTS BY MEMBERS OF THE BOARD?
12 COMMENTS FROM MEMBERS OF THE PUBLIC, PLEASE? AGAIN,
13 CONFINE YOUR COMMENTS. STATE YOUR NAME PLEASE AND
14 THREE MINUTES, IF YOU WOULD. THANK YOU.

15 MS. GAY: YES. THANK YOU. HELLO. MY
16 NAME IS RUTH GAY. I'M THE DIRECTOR OF PUBLIC POLICY
17 AND ADVOCACY FOR THE ALZHEIMER'S ASSOCIATION
18 NORTHERN CALIFORNIA, NORTHERN NEVADA. AND I'M HERE
19 TODAY. I'VE BEEN WORKING WITH ALZHEIMER'S DISEASE
20 FOR ABOUT 27 YEARS. I STARTED AT A TIME WHEN I WAS
21 FAIRLY YOUNG, AND THEY STILL CALLED IT SENILE
22 DEMENTIA AND CHRONIC SENILITY AND ORGANIC BRAIN
23 SYNDROME, AND OTHER THINGS.

24 JUST TO ADD TO SOME OF THE STATISTICS THAT
25 MS. GIBBONS PUT OUT, LET ME JUST SAY THAT WHEN WE

BARRISTERS' REPORTING SERVICE

1 START TALKING ABOUT NUMBERS RIGHT NOW, 5.4 MILLION
2 AMERICANS, 16.2 MILLION UNPAID CAREGIVERS, EVERY DAY
3 10,000 AMERICANS TURN 65, AND ONE IN EIGHT WILL BE
4 AFFECTED BY THIS DISEASE.

5 I WAS GOING TO TALK TODAY A LITTLE BIT
6 ABOUT THE DIRECTIONS WE'RE GOING, AND WE'RE BUILDING
7 A POLICY. WE'RE BETTER AT DIAGNOSIS. WE'RE BETTER
8 AT IDENTIFYING. WE'RE WORKING TOWARDS MUCH BETTER
9 TREATMENTS. AND YET TODAY, AFTER I HAD WRITTEN MY
10 WHOLE TOPIC, A GENTLEMAN WALKED INTO MY OFFICE. AND
11 I WAS RUSHING TODAY. HIS NAME IS LEE FOR THE
12 RECORD. HE IS 57 YEARS OLD. HE IS A PRIEST. HE'S
13 A MARRIAGE AND FAMILY THERAPIST. AND HE HAD BEEN
14 DIAGNOSED WITH ALZHEIMER'S DISEASE. AND TO BE
15 HONEST WITH YOU, I HAD NO NEW TREATMENTS TO TALK TO
16 HIM ABOUT THAT I DIDN'T HAVE 27 YEARS AGO. I CAN
17 TALK ABOUT SUPPORT, I CAN TALK ABOUT PLANNING, I CAN
18 TALK ABOUT GETTING INVOLVED WITH COUNSELING, I CAN
19 TALK ABOUT PLANNING FOR THE FUTURE. AND I CAN'T
20 TALK TO HIM ABOUT WHAT CAN WE DO TO CHANGE THE
21 COURSE OF THIS FOR YOU.

22 WE'RE DEVELOPING A NATIONAL PLAN FOR
23 ALZHEIMER'S DISEASE. WE HAVE A STATE PLAN IN
24 CALIFORNIA. WE'RE PROUD TO FUND RESEARCH AT THE
25 HIGHEST LEVEL AS A PRIVATE FUNDER. AND YET THE

BARRISTERS' REPORTING SERVICE

1 DISEASES THAT ARE BEING FUNDED RIGHT NOW ARE LOOKING
2 AT REDUCTIONS IN DISEASE WHILE ALZHEIMER'S DISEASE
3 DEATHS GO UP EVERY SINGLE DAY. I DON'T KNOW IF THIS
4 RESEARCH STUDY WILL BE THE DISEASE ALTERING
5 TREATMENT OF THE FUTURE. I DO KNOW THAT WE AS THE
6 ALZHEIMER'S ASSOCIATION RESPECT THE STEM CELL WORK.
7 WE RESPECT THE WORK OF THIS COMMITTEE, AND WE HOPE
8 THAT YOU WILL PUT DISEASES LIKE ALZHEIMER'S ON THE
9 FOREFRONT OF YOUR RESEARCH EFFORTS BECAUSE THERE ARE
10 PEOPLE OUT THERE THAT WOULD DO ANYTHING TO CHANGE
11 THE COURSE OF THIS DISEASE. THANK YOU.

12 CHAIRMAN THOMAS: THANK YOU.

13 MR. SMITH: RICHARD SMITH. THANK YOU, MR.
14 CHAIRMAN, MEMBERS OF THE BOARD, MS. GIBBONS
15 PARTICULARLY FOR INTRODUCING THIS MOTION. I'VE DONE
16 A LOT OF DANGEROUS AND FRIGHTENING THINGS IN MY
17 LIFE. I FLEW AIRCRAFT CARRIERS OFF THE U.S. KITTY
18 HAWK. AND 14 YEARS AGO I BECAME A CAREGIVER FOR MY
19 WIFE AT AGE 51. SHE WAS DIAGNOSED WITH ALZHEIMER'S.
20 SHE DIED FOUR YEARS AGO UNTREATED FOR THE DISEASE.

21 I HAVE TWO DAUGHTERS, ONE OF THEM 40 YEARS
22 OLD, THE OTHER ONE 39. MY OLDEST DAUGHTER
23 CELEBRATED HER BIRTHDAY JUST A MONTH AGO. AND WHEN
24 I WAS 40, SHE WAS 15. AND I SAID, "YOU KNOW WHAT,"
25 SHE SAID, "DAD, YOU'RE REALLY OLD." I SAID, "HONEY,

BARRISTERS' REPORTING SERVICE

1 WHEN YOU'RE 50, I'M GOING TO BE 75." THAT'S NOT SO
2 BIG A DIFFERENCE AS 40 AND 15. WELL, AT HER
3 BIRTHDAY THIS YEAR, SHE SAID, "DAD, YOU KNOW,
4 REMEMBER WHEN YOU TOLD ME WHEN I'M 50, YOU'RE GOING
5 TO BE 75?" I SAID YEAH. SHE SAID, "WELL, I HOPE I
6 DON'T HAVE ALZHEIMER'S, SO WE CAN THROW A REALLY BIG
7 PARTY."

8 YOU HAVE THE POWER TO MAKE A DIFFERENCE IN
9 THE COURSE OF THIS DISEASE. YOU HAVE THE POWER TO
10 VOTE YES. THERE ARE NO SOLUTIONS. WHEN I REACH 85,
11 I HAVE A 50-PERCENT CHANCE OF GETTING THE DISEASE.
12 MY DAUGHTERS HAVE A 50-PERCENT CHANCE TODAY. PLEASE
13 SUPPORT THIS ISSUE. THANK YOU.

14 DR. CAPELA: GOOD EVENING AND THANK YOU
15 FOR THE OPPORTUNITY TO ADDRESS THE BOARD. MY NAME
16 IS ALEXANDRA CAPELA, AND I'M A SENIOR SCIENTIST AT
17 STEM CELLS, AND I'M THE PI ON THIS GRANT PROPOSAL.
18 AND JOINING ME IS DR. FRANK LAFERLA, OUR CO-PI.

19 SO LAST YEAR WE APPROACHED CIRM WITH A
20 CONCEPT AND WITH A RATIONALE AND A ROAD MAP TO
21 TRANSLATE VERY PROMISING PRECLINICAL DATA INTO USING
22 NEURAL STEM CELLS INTO THE CLINIC FOR PATIENTS WITH
23 ALZHEIMER'S DISEASE. CIRM BELIEVED IN OUR APPROACH,
24 BELIEVED IN OUR TEAM, AND EVENTUALLY FUNDED OUR
25 PLANNING GRANT.

BARRISTERS' REPORTING SERVICE

1 THIS SIGNALLED TO US A VERY IMPORTANT VOTE
2 OF CONFIDENCE REGARDING CIRM'S VIEW OF THE BASIC
3 PREMISE OF OUR GRANT. BUT AS OF YESTERDAY, WE
4 UNDERSTAND THAT CIRM AND THE REVIEWERS NOW HOLD, AND
5 I QUOTE, "THERE IS MUCH MORE PLAUSIBILITY FOR USING
6 THESE CELLS IN A LOCALIZED DISEASE INJURY SUCH AS
7 THE SPINAL CORD," END OF QUOTE. IN ESSENCE, IT NOW
8 SEEMS THAT CIRM HAS LOST BELIEF IN A STEM CELL
9 APPROACH FOR ALZHEIMER'S DISEASE, A POSITION THAT IS
10 BEING ADOPTED WITHOUT THE BENEFIT OF ANY HUMAN DATA.

11 WE ARE TRULY AT A CROSSROADS REGARDING
12 THERAPY FOR ALZHEIMER'S DISEASE. THE TYPES OF
13 THERAPIES THAT -- THE MAJOR STRATEGIES BEING
14 UTILIZED TO REDUCE AMYLOID BURN HAVE YET TO SHOW
15 DISEASE-MODIFYING RESULTS THAT WE HAVE HOPED FOR FOR
16 SO LONG. AND I, AS A SCIENTIST DOING RESEARCH IN
17 NEURODEGENERATIVE DISEASES, REALIZE THAT WE CANNOT
18 AFFORD TO IGNORE ALTERNATIVE APPROACHES,
19 PARTICULARLY APPROACHES THAT ARE BACKED BY
20 COMPELLING PRECLINICAL DATA SUCH AS OUR OWN.

21 SO I URGE THE ICOC TO APPROVE FUNDING OF
22 OUR GRANT WITH A TEAM THAT TRANSLATED LAB
23 DISCOVERIES WITH THE HUMAN NEURAL STEM CELL IN NOT
24 JUST ONE, BUT FOUR SUCCESSFUL CLINICAL TRIALS. WE
25 HAVE THE EXPERTISE AND AN INTERNATIONALLY RECOGNIZED

BARRISTERS' REPORTING SERVICE

1 TEAM SET UP WITH EXPERTS AT STEM CELLS, INC. AND AT
2 UCI. AND WE ARE VERY COMMITTED IN THIS MISSION ON
3 BEHALF OF CURRENT AND FUTURE ALZHEIMER'S PATIENTS AS
4 WELL AS THEIR CAREGIVERS. SO WE ASK THE ICOC TO BE
5 BOLD IN THE FACE OF SUCH A SERIOUS DISEASE AND
6 ENABLE US TO FOLLOW OUR DATA INTO HUMAN TESTING,
7 WHICH IS WHERE ULTIMATELY WE WILL HAVE ANSWERS.
8 THANK YOU VERY MUCH FOR YOUR ATTENTION.

9 CHAIRMAN THOMAS: THANK YOU.

10 DR. LA FERLA: THANK YOU. MY NAME IS
11 FRANK LAFERLA. AND I FIRST WANT TO THANK THE ICOC
12 AND CIRM STAFF FOR CONSIDERING INVESTING IN STEM
13 CELL THERAPY AS A POTENTIAL WAY OF IMPROVING THE
14 MEMORY OF THE 5.4 MILLION AMERICANS, INCLUDING THE
15 600,000 CALIFORNIANS, THAT ARE AFFLICTED WITH THIS
16 PARTICULAR AND DEVASTATING DISEASE.

17 I JUST WANT TO MAKE ONE BRIEF COMMENT
18 ABOUT THE LARGE ANIMAL STUDIES. AND I WANT TO POINT
19 OUT THAT I THINK IT'S FAIR TO SAY THAT WE RECEIVED A
20 LITTLE BIT OF A MIXED SIGNAL FROM CIRM REGARDING THE
21 UTILITY OF LARGE ANIMAL MODELS. OUR EARLY
22 TRANSLATION GRANT ACTUALLY DOES INCLUDE A
23 SIGNIFICANT DEVOTION OF THAT STUDY TO LARGE ANIMAL
24 STUDIES, AND WE'VE BEEN ENCOURAGED TO MOVE AWAY FROM
25 THAT. AS A MATTER OF FACT, THAT'S WHY WE ACTUALLY

BARRISTERS' REPORTING SERVICE

1 SLASHED THAT BUDGET FROM \$300,000 TO \$200,000.

2 NEVERTHELESS, I WANT TO CEDE MY TIME
3 ACTUALLY TO A PATIENT ADVOCATE WHO COULDN'T BE HERE
4 TONIGHT, AND THAT'S BARRY PETERSON, WHO MANY OF YOU
5 KNOW IS A CBS NEWS CORRESPONDENT, AND HE GAVE US
6 PERMISSION TO ACTUALLY PLAY ONE OF HIS VIDEOS SO
7 THAT HE CAN MAKE A VERY IMPORTANT POINT HERE.

8 (VIDEO WAS SHOWN, NOT REPORTED, NOR
9 HEREIN TRANSCRIBED.)

10 DR. LA FERLA: SO JUST THE LAST COMMENT
11 THAT HE MADE IN AN E-MAIL, AND THAT WAS THERE'S SO
12 MUCH NEED AND SO LITTLE TO OFFER THE PEOPLE. SO
13 THANK YOU VERY MUCH.

14 CHAIRMAN THOMAS: THANK YOU. NEXT PLEASE.

15 MR. SCHNEIDER: I'M LON SCHNEIDER. I'M A
16 PROFESSOR AT THE UNIVERSITY OF SOUTHERN CALIFORNIA
17 AND A CO-INVESTIGATOR ON ANOTHER GRANT. I DON'T
18 WANT -- I JUST WANT TO ALERT THE BOARD THAT WE ALSO
19 WILL AND WE DO HAVE -- WE HAVE INVITED A NUMBER OF
20 OUR PATIENTS, PARTICIPANTS, SUPPORTERS, SOME OF WHOM
21 HAVE ILLNESS, UP TO TESTIFY AS WELL. AND I JUST
22 WANTED TO SAY THAT. IT'S LATE. IT'S GETTING ON IN
23 TIME, AND I JUST WANTED TO LET YOU KNOW THAT WE
24 WOULD ALSO BE TALKING ABOUT THE DIFFICULTIES WITH
25 ALZHEIMER'S DISEASE.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: THANK YOU. NEXT,
2 PLEASE.

3 DR. HUH: I'M DR. STEPHEN HUH. I'M A
4 VICE PRESIDENT FOR THE CNS PROGRAM AT STEM CELLS,
5 INCORPORATED. THANK YOU FOR THE OPPORTUNITY TO
6 SPEAK, AND I'LL BE VERY BRIEF.

7 NOTWITHSTANDING THE GRANTS WORKING GROUP
8 MOST RECENT RECOMMENDATIONS, I'D LIKE TO STRONGLY
9 URGE THE ICOC TO APPROVE FUNDING FOR THE GRANT
10 APPLICATION FOR TWO REASONS. THIS IS THE ONLY
11 DISEASE TEAM THAT'S CURRENTLY WITHIN CIRM'S GRASP TO
12 TEST THE POTENTIAL OF STEM CELL TRANSPLANTATION FOR
13 ALZHEIMER'S, A DISEASE THAT WE ALL CAN NOW RECOGNIZE
14 WITH HUGE UNMET NEED. WE ALL ALSO UNDERSTAND THAT
15 PRECLINICAL DATA IS NEVER WITHOUT GAPS, BUT I THINK
16 WE OWE TO IT OUR PATIENTS AND THEIR FAMILIES TO
17 EXPLORE ALL AVENUES OF RESEARCH THAT MIGHT YIELD
18 MEANINGFUL RESULTS AND IMPACT THIS DEVASTATING
19 DISEASE.

20 WE BELIEVE THAT THE RECENT AND
21 WELL-PUBLICIZED FAILURES OF PHARMACEUTICAL
22 APPROACHES FURTHER UNDERSCORES THE IMPORTANCE OF
23 INVESTIGATING UNEXPLORED PATHWAYS THAT'S REPRESENTED
24 BY OUR PRECLINICAL DATA, RESULTS WHICH, IF
25 REPLICATED IN HUMAN PATIENTS, WOULD FAR SURPASS THE

BARRISTERS' REPORTING SERVICE

1 BENEFIT OF ANY CURRENT THERAPY FOR ALZHEIMER'S.

2 SECONDLY, WE RESPECTFULLY DISAGREE WITH
3 THE OPINION OF THE GRANTS WORKING GROUP THAT WE HAVE
4 NOT PRESENTED A COMPELLING RATIONALE TO SUPPORT THIS
5 APPLICATION. I'D LIKE TO POINT OUT THAT WE'VE
6 ADDRESSED AT CONSIDERABLE LENGTH AND EFFORT THE
7 REVIEWERS' CONCERNS ABOUT A LOCAL APPROACH TO
8 DIFFUSE DISORDER AS WELL AS THE MIGRATORY PROPERTIES
9 OF THE HUMAN STEM CELL BOTH IN ANIMAL DATA AND HUMAN
10 DATA.

11 IT'S IMPORTANT ALSO TO EMPHASIZE THAT
12 THESE SAME CELLS ARE NOW IN CLINICAL TRIALS AND
13 SHOWING CLINICAL EFFECTS IN BOTH BRAIN AND SPINAL
14 CORD DISORDERS. OUR TEAM, WHICH INCLUDES
15 INTERNATIONALLY RECOGNIZED EXPERTS WHO ARE
16 OBJECTIVE, REMAINS CONVINCED THAT THE COMPELLING
17 NATURE OF THE DATA AND THE VALIDITY OF OUR CLINICAL
18 APPROACH. WITH THE SUPPORT OF THE ICOC, WE'LL MAKE
19 THE CASE WITHIN FOUR YEARS TO THE FDA TO INITIATE A
20 HUMAN STUDY AND BEGIN TESTING THIS IN PATIENTS.

21 I'D LIKE TO CLOSE WITH THAT WHEN I WAS 27,
22 I BECAME A PHYSICIAN. I SWORE AN OATH NOT TO CURE,
23 BUT TO RELIEVE THE SUFFERING OF PATIENTS. THIS TYPE
24 OF RESEARCH GOES A LONG WAYS TOWARD HELPING FULFILL
25 THAT OATH. AND ALTHOUGH I'M AN EMPLOYEE OF A

BARRISTERS' REPORTING SERVICE

1 BIOTECH COMPANY, I'M A PHYSICIAN FIRST.
2 BREAKTHROUGHS IN MEDICINE OFTEN COME FROM UNTESTED
3 AND CONTROVERSIAL AREAS. AND ALZHEIMER'S IS
4 CERTAINLY IN NEED OF A BREAKTHROUGH. THANK YOU.

5 CHAIRMAN THOMAS: THANK YOU.

6 MR. MC GLYNN: GOOD EVENING. MY NAME IS
7 MARTIN MCGLYNN. I'M THE PRESIDENT AND CEO OF STEM
8 CELLS, INC. ON BEHALF OF THE STEM CELLS BOARD, I
9 WISH TO THANK THE ICOC AND CIRM AND ITS HARDWORKING
10 STAFF FOR ALL THE CONSIDERATION THAT THEY HAVE GIVEN
11 TO OUR DISEASE TEAM APPLICATION, WHOSE FOCUS IS TO
12 STUDY THE POTENTIAL OF NEURAL STEM CELLS TO IMPROVE
13 MEMORY IN ALZHEIMER'S PATIENTS. WE'VE ALREADY SHOWN
14 THAT THE CELLS DO JUST THAT IN A SIGNIFICANT WAY IN
15 TWO RELEVANT ALZHEIMER'S MOUSE MODELS.

16 NOW, WE DO REALIZE THAT THE ICOC FACES
17 DIFFICULT CHOICES OF HOW BEST TO SPEND STATE MONEY.
18 WE HOPE THAT THE STATEMENTS MADE HERE TODAY AND AT
19 THE LAST ICOC MEETING AND IN OUR VARIOUS PETITIONS
20 TO THE CIRM HAVE MADE IT ABUNDANTLY CLEAR THAT WE AS
21 A COMPANY REMAIN UNWAVERING IN OUR COMMITMENT TO
22 DEVELOPING OUR PROPRIETARY NEURAL STEM CELLS AS A
23 POTENTIAL TREATMENT FOR A WIDE RANGE OF DISEASES AND
24 DISORDERS OF THE CENTRAL NERVOUS SYSTEM.

25 WHATEVER DIFFERENCES OF OPINION WE MAY

BARRISTERS' REPORTING SERVICE

1 STILL HAVE WITH THE INDIVIDUALS SERVING ON THE
2 GRANTS WORKING GROUP, WE HOPE THAT THE ICOC NOW
3 APPRECIATES THAT OUR TEAM, WHICH INCLUDES MANY
4 EXPERTS IN THE ALZHEIMER'S FIELD AND WHICH ALREADY
5 HOLDS SUCCESSFUL HUMAN CLINICAL DATA FROM OTHER
6 DIFFUSE DISEASES AFFECTING THE BRAIN, SUCH AS
7 BATTEN'S DISEASE, STANDS READY TO TEST THESE CELLS
8 IN A CLINICAL STUDY TO SEE IF THEY CAN BE ANOTHER
9 TOOL IN THE STATE'S ARMAMENTARIUM AGAINST
10 ALZHEIMER'S, ONE OF THE MOST CRIPPLING DISEASES
11 FACING OUR AGING POPULATIONS.

12 THE APPLICATION NOW BEFORE THE ICOC
13 PRESENTS A TRULY UNIQUE OPPORTUNITY, BUT IT HAS A
14 RELATIVELY SHORT HALF-LIFE. A WORLD-CLASS TEAM
15 WHICH IS EXPERIENCED IN THIS FIELD OF ENDEAVOR IS IN
16 PLACE. THE PROPRIETARY GMP SEED STOCK CELLS ARE IN
17 THE FREEZERS. AND THE MICE ARE AGING AS I SPEAK AND
18 READY TO BE TRANSPLANTED. WITHOUT IMMEDIATE CIRM
19 FUNDING FOR THIS PROGRAM, OUR COMPANY WILL LIKELY
20 NEED TO MAKE SOME VERY DIFFICULT CHOICES, WHETHER TO
21 FUND IND-ENABLING STUDIES IN ALZHEIMER'S DISEASE OR
22 TO RESERVE SCARCE RESOURCES TO FUND CLINICAL STUDIES
23 THAT ARE ALREADY IN PROGRESS.

24 PERSONALLY, PERSONALLY I HOPE WE DON'T
25 HAVE TO FACE THAT CHOICE. I ALSO PERSONALLY BELIEVE

BARRISTERS' REPORTING SERVICE

1 THAT THE DATA WE HAVE IN OUR POSSESSION, BOTH
2 PRECLINICAL AND CLINICAL, MAKES A COMPELLING
3 ARGUMENT IN FAVOR OF GOING FORWARD TO TEST THIS
4 GROUNDBREAKING TECHNOLOGY IN ALZHEIMER'S PATIENTS.

5 IN CONCLUSION, I WOULD LIKE TO LEAVE YOU
6 WITH A QUOTE FROM ALBERT EINSTEIN. "STRANGE IS OUR
7 SITUATION HERE UPON EARTH. EACH OF US COMES FOR A
8 SHORT VISIT NOT KNOWING WHY, YET SOMETIMES SEEMING
9 TO DEFINE A PURPOSE. FROM THE STANDPOINT OF DAILY
10 LIFE, HOWEVER, THERE IS ONE THING WE DO KNOW. THAT
11 MAN IS HERE FOR THE SAKE OF OTHER MEN." THANK YOU.

12 CHAIRMAN THOMAS: THANK YOU. MR. KLEIN,
13 WELCOME.

14 MR. KLEIN: BOB KLEIN. AS YOU KNOW, THIS
15 IS ONLY THE SECOND TIME I'VE APPEARED BEFORE THE
16 BOARD IN THE YEAR AND A HALF SINCE I STEPPED DOWN.
17 I APPEAR TODAY FOR A VERY UNIQUE COMBINATION OF
18 REASONS. FOR IN THE SEVEN YEARS I SERVED ON THE
19 BOARD AND THE 20 DIFFERENT PEER REVIEW GROUPS I
20 SERVED ON, THIS IS THE BEST SHOT THAT I SAW FOR
21 ADVANCING ALZHEIMER'S RESEARCH.

22 HAVING SAT ON TWO DIFFERENT PEER REVIEWS
23 THAT REVIEWED THE SPECIFIC FUNDAMENTAL DESIGNS OF
24 THIS PARTICULAR GRANT WHERE THERE ARE TWO FOCAL
25 IMPLANTATIONS IN THE TWO HEMISPHERES OF THE

BARRISTERS' REPORTING SERVICE

1 HIPPOCAMPUS, BOTH OF THE PEER REVIEWS THAT I SAT ON
2 REVIEWED THE QUESTION OF MIGRATION, FOUND IT WAS NOT
3 ESSENTIAL, THEY WERE GOING TO FOCUS ON MEMORY, THEY
4 WERE GOING TO FOCUS ON THESE TWO FOCAL IMPLANTS IN
5 THE HIPPOCAMPUS, AND BOTH OF THEM GAVE A VERY GOOD
6 SCORE TO THIS GRANT.

7 WHAT WE HAVE HERE IS A DISAGREEMENT
8 BETWEEN THREE DIFFERENT PEER REVIEWS. THOSE TWO
9 REVIEWS WERE NOT SPLIT. THE SCIENTISTS WERE IN
10 AGREEMENT. THIS REVIEW HAS A HUGE SPLIT, A STANDARD
11 DEVIATION OF 12, WITH SOME PEOPLE WANTING TO FUND
12 AND SOME PEOPLE NOT WANTING TO FUND. BUT AS DR.
13 PIZZO SAID IN THE LAST SESSION IN A GENERAL
14 DISCUSSION OF PEER REVIEW, IF YOU HAVE TWO OR THREE
15 PEOPLE THAT PUT A VERY LOW SCORE ON IT, AND YOU HAVE
16 SOME RECUSALS, YOU DISTORT THE WHOLE FUNDING CURVE.

17 WHAT WE HAVE HERE IS THE BEST COMPANY IN
18 THE UNITED STATES WITH THE MOST CLINICAL EXPERIENCE
19 IN THE BRAIN WITH TWO DIFFERENT CLINICAL TRIALS THAT
20 HAVE DIRECTLY APPLICABLE EVIDENCE, INCLUDING AN
21 AUTOPSY FROM BATTEN'S TWO AND A HALF YEARS AFTER
22 TRANSPLANT, THAT SHOWS MAJOR MIGRATION GREATER THAN
23 THE ENTIRE RANGE OF THE HIPPOCAMPUS IN THE AREA
24 AROUND THE HIPPOCAMPUS. THAT'S A HUMAN AUTOPSY OF A
25 HUMAN CELL IN BATTEN'S DISEASE IN THE BRAIN.

BARRISTERS' REPORTING SERVICE

1 WE HAVE MOUSE DATA THAT CORRELATES TO
2 THAT. UNTIL WE DO A HUMAN TRIAL, WE WON'T GET
3 BETTER EVIDENCE. THIS IS A PROGRAMMATIC AREA WHERE
4 WE HAVE NO DISEASE TEAM APPROPRIATION. UNLESS WE IN
5 A RISK BALANCE LOOK AT THIS PROGRAM AREA AFTER SEVEN
6 YEARS AND SAY THIS IS OUR BEST SHOT, WE HAVE HAD
7 DR. LAFERLA PERFORM, BE VERY HIGH GRADED, WE CAN SEE
8 PUBLISHED STUDIES ON STEM CELL, INC.'S PERFORMANCE,
9 TWO COMPLETED CLINICAL STUDIES IN THE BRAIN WITH
10 NEURAL STEM CELLS, WHAT BETTER SHOT, WHAT BETTER
11 TIME, WHAT TEAM HAS A BETTER CHANCE?

12 WE HAVE AN OPPORTUNITY HERE TO MOVE
13 SCIENCE FORWARD. AS LEEZA GIBBONS SAYS, CURING OR
14 ADDRESSING OR MITIGATING THE MEMORY ISSUES DO NOT
15 CURE THE WHOLE DISEASE. THEY DO NOT DEAL WITH THE
16 WHOLE BRAIN. BUT THE FDA IS MORE LIKELY TO APPROVE
17 A FOCUSED APPROACH IN THE HIPPOCAMPUS THAN MULTIPLE
18 INSERTIONS AND TRANSPLANTATIONS IN MULTIPLE PARTS OF
19 THE BRAIN. THIS IS \$20 MILLION OF COMPANY MONEY
20 BETTING ON THEIR SCIENCE. THIS IS NOT A FREE RIDE.
21 THIS IS A SITUATION WHERE THE BEST COMPANY WITH THE
22 MOST EXPERIENCE HAS LOOKED AT THE DATA AND SAID THIS
23 IS THE TIME TO MAKE A COMMITMENT. 20 MILLION OF
24 MATCHING FUNDS, 20 MILLION OF OUR FUNDS, SEVEN
25 YEARS, THE BEST SHOT ON GOAL. I HOPE YOU CONCUR.

BARRISTERS' REPORTING SERVICE

1 THANK YOU.

2 CHAIRMAN THOMAS: THANK YOU. FURTHER
3 COMMENTS BY MEMBERS OF THE PUBLIC? HEARING NONE.
4 OKAY. SO WITH RESPECT TO THIS MOTION, WE'VE HAD
5 BOARD DISCUSSION AND PUBLIC COMMENT, WE ARE GOING TO
6 ADDRESS THIS MOTION IN CLOSED SESSION. SO THAT WILL
7 WRAP THAT UP.

8 NOW, WITH RESPECT TO THE REMAINING PROJECT
9 THAT WAS REFERRED FOR RE-REVIEW, DO WE HEAR A MOTION
10 THAT WE FUND THAT PROJECT? JUST TO BE CLEAR ON
11 THIS, ALAN, DO YOU WANT TO JUST RESTATE WHICH
12 PROJECT THIS IS?

13 DR. FEIGAL: THIS IS THE BREAST CANCER
14 PROJECT WITH MONOCLONAL ANTIBODY TARGETING THE
15 CANCER STEM CELL.

16 MS. GIBBONS: MY QUESTION WAS WHILE I DO
17 THINK THERE WAS SOME BOARD MEMBERS THAT HAD -- THAT
18 WANTED MORE INFORMATION ON WHATEVER MAY BE
19 PROPRIETARY ABOUT THIS IN CLOSED SESSION, DO WE NEED
20 TO DEFER A VOTE UNTIL WE HEAR THAT INFORMATION?

21 CHAIRMAN THOMAS: YES.

22 MS. GIBBONS: WE DO. GOT IT.

23 CHAIRMAN THOMAS: OKAY. GETTING BACK TO
24 THIS OTHER PROJECT HERE, DO WE HEAR A MOTION TO FUND
25 THIS PROJECT? OKAY. HEARING NONE, MR. HARRISON,

BARRISTERS' REPORTING SERVICE

1 WITH RESPECT TO PARTICIPANTS IN THE AUDIENCE WHO MAY
2 WISH TO GIVE PUBLIC COMMENT, AT WHAT POINT WOULD
3 THAT BE APPROPRIATE GIVEN THAT WE HAVE NO MOTION TO
4 FUND?

5 MR. HARRISON: TYPICALLY IT WOULD COME
6 BEFORE A VOTE TO CLOSE FUNDING FOR TIER III.

7 CHAIRMAN THOMAS: OKAY. SO DO WE HAVE
8 MEMBERS OF THE PUBLIC HERE? WE'RE NOT AT THAT POINT
9 YET. WE DO HAVE MEMBERS. WE'RE NOT AT THE POINT
10 THAT MR. HARRISON JUST DESCRIBED. JUST HANG WITH US
11 FOR A BIT HERE.

12 OKAY. SO THAT FOR THE MOMENT COMPLETES
13 DISCUSSION OF THE BOARD OF THE FIVE RE-REVIEWED
14 PROJECTS.

15 MS. SAMUELSON: MR. CHAIRMAN, POINT OF
16 ORDER ON THE ALZHEIMER'S PROJECT. THE VOTE WOULD
17 NEED TO COME BACK HERE TO OPEN SESSION, RIGHT?

18 CHAIRMAN THOMAS: YES. ABSOLUTELY, YES.
19 WE HAVE NOT HAD A VOTE. WE WILL HAVE CLOSED
20 SESSION. AND WE WILL COME BACK AND HAVE THAT, AND
21 WE WILL ALSO BE ADDRESSING TIER III -- LET ME GO ON.
22 I KNOW THAT WE'RE -- HOLD ON ONE SECOND, DR. FEIGAL.
23 THERE ARE, AS WAS NOTED, THERE IS ANOTHER PROJECT
24 THAT HAD ADDITIONAL INFORMATION THAT WAS SUBMITTED,
25 ACTUALLY TWO, CORRECT, NOT EXTRAORDINARY PETITIONS.

BARRISTERS' REPORTING SERVICE

1 THESE WERE WHAT WE WOULD CALL OTHER CORRESPONDENCE
2 THAT WERE RECEIVED.

3 DO WE HAVE A MOTION TO FUND EITHER OF
4 THOSE? YOU HAVE THOSE IN YOUR PACKET. DR. FEIGAL,
5 COULD YOU JUST IDENTIFY THOSE TWO, PLEASE, FOR THOSE
6 WHO HAVEN'T HAD A CHANCE TO READ IT?

7 DR. FEIGAL: THE TWO THAT YOU RECEIVED
8 WERE THE PROPOSAL WITH THE BISPECIFIC ANTIBODY
9 DIRECTED TO A TARGET FOR GLIOBLASTOMA AND THE SECOND
10 IS ACTUALLY A PROPOSAL IN ALZHEIMER'S DISEASE WITH A
11 SMALL MOLECULE APPROACH. DO YOU NEED THE NUMBERS?

12 DR. SAMBRANO: SO IT'S 5410, THAT'S THE
13 ALZHEIMER'S, AND 5373, THAT'S THE BISPECIFIC
14 ANTIBODY.

15 CHAIRMAN THOMAS: MS. GIBBONS.

16 MS. GIBBONS: I JUST HAVE A PROCESS
17 QUESTION. WITH THE WAY THESE TWO WERE PRESENTED, IS
18 IT WITHIN OUR PURVIEW TO ACTUALLY VOTE FOR FUNDING,
19 OR ARE THEY OUTSIDE OF THE ACCEPTED PARAMETERS THAT
20 WE HAVE?

21 CHAIRMAN THOMAS: THESE ACTUALLY ARE PART
22 OF THE TIER III GROUP THAT CURRENTLY ARE NOT
23 RECOMMENDED FOR FUNDING. WE WILL BE ASKING IF THERE
24 ARE ANY MOTIONS TO APPROVE MOVING ANY OF THE TIER
25 III PROJECTS UP TO TIER I, CORRECT, MR. HARRISON?

BARRISTERS' REPORTING SERVICE

1 MR. HARRISON: YES. WE'LL BE ASKING THE
2 BOARD IF ANYONE WOULD LIKE TO MAKE A MOTION TO FUND
3 AN APPLICATION IS THAT CURRENTLY IN TIER III.

4 CHAIRMAN THOMAS: CORRECT. AND THESE TWO
5 ARE AMONGST THOSE IN TIER III. THEY HAPPEN TO HAVE
6 HAD SOME INFORMATION THAT WAS INCLUDED IN PART OF
7 YOUR PACKET THERE.

8 SO REPEATING THE QUESTION, IS THERE A
9 MOTION THAT WE MOVE TO FUND EITHER OF THE TWO
10 PROJECTS JUST DESCRIBED BY DR. FEIGAL?

11 DR. FEIGAL: ACTUALLY TO BE CLEAR, I
12 HAVEN'T DESCRIBED THEM. I JUST TOLD YOU THE TITLE.

13 CHAIRMAN THOMAS: THANK YOU.

14 MS. GIBBONS: I'D LIKE TO HEAR MORE ABOUT
15 THE -- I BELIEVE THAT DR. SCHNEIDER AND SOME OTHER
16 PEOPLE ARE HERE. I'D LIKE TO HEAR MORE ABOUT THAT
17 PROPOSAL IF WE COULD. IS THAT ON THE TABLE?

18 DR. FEIGAL: IF YOU WOULD LIKE THAT, WOULD
19 YOU LIKE TO FIRST HEAR THE SCIENTIFIC OFFICER
20 SUMMARY OF THE PROPOSAL?

21 CHAIRMAN THOMAS: YES, PLEASE.

22 DR. PRICE: POINT OF INFORMATION. IF
23 THESE AREN'T EXTRAORDINARY PETITIONS, WHAT ARE THEY?
24 AND WHEN DID THE NEW INFORMATION COME TO US?

25 CHAIRMAN THOMAS: DR. SAMBRANO, PERHAPS

BARRISTERS' REPORTING SERVICE

1 YOU'D LIKE TO ANSWER THAT QUESTION.

2 DR. SAMBRANO: SO THE COVER MEMO INDICATES
3 THE DATE THAT THE INFORMATION OR LETTER WAS
4 RECEIVED. SO I THINK THE BOARD WILL CONSIDER A
5 PROCESS BY WHICH THE BOARD INTENDS TO CONSIDER
6 EXTRAORDINARY PETITIONS. SO UNDER THAT POLICY
7 CURRENTLY WE NORMALLY ACCEPT THEM AT THE ICOC
8 MEETING WHEN THE BOARD IS ACTUALLY VOTING ON THEM OR
9 INITIALLY VOTING ON THEM, WHICH WOULD HAVE BEEN THE
10 LAST BOARD MEETING.

11 SO FOR THESE TWO, THEY CAME IN AFTER THAT
12 BOARD MEETING. WE DIDN'T EXPECT THAT WE WOULD BE AT
13 THIS BOARD MEETING CONSIDERING DISEASE TEAM
14 APPLICATIONS, BUT NEVERTHELESS THEY CAME IN. AND SO
15 AS A PUBLIC BODY, ANY CORRESPONDENCE THAT COMES AND
16 IS ADDRESSED TO THE BOARD WE PROVIDE TO YOU. AND SO
17 IT'S UP TO YOU TO DETERMINE WHAT YOU WISH TO DO WITH
18 THOSE.

19 DR. PRICE: FOR MY BENEFIT, CAN YOU TELL
20 US WHEN THEY CAME IN SO I DON'T HAVE TO RIFLE
21 THROUGH?

22 DR. SAMBRANO: AUGUST 23D FOR THE -- THAT
23 WAS 5410. AND AUGUST 29TH FOR THE OTHER ONE. I
24 DON'T HAVE THE MEMO IN FRONT OF ME.

25 CHAIRMAN THOMAS: ZACH, YOU WANT TO

BARRISTERS' REPORTING SERVICE

1 PROCEED HERE.

2 DR. SCHEINER: CHAIR THOMAS, MEMBERS OF
3 THE BOARD, I'LL PRESENT APPLICATION 5410 ENTITLED
4 "CIRM DISEASE TEAM TO DEVELOP ALLOPREGNANOLONE FOR
5 PREVENTION AND TREATMENT OF ALZHEIMER'S DISEASE." I
6 CAN GIVE YOU A SECOND TO FIND THE REVIEW REPORT IN
7 YOUR BINDERS. AGAIN, IT'S APPLICATION 5410.

8 MR. TORRES: YOU NEED TO IDENTIFY
9 YOURSELF.

10 DR. SCHEINER: I'M ZACH SCHEINER. I'M A
11 SCIENCE OFFICER AT CIRM.

12 THIS APPLICATION IS FOCUSED ON A SMALL
13 MOLECULE THERAPY FOR ALZHEIMER'S DISEASE. SMALL
14 MOLECULE IS ALLOPREGNANOLONE, A STEROID THAT OCCURS
15 NATURALLY IN THE HUMAN BODY AND IS A METABOLITE OF
16 PROGESTERONE.

17 THE APPLICANT HAS PUBLISHED PRECLINICAL
18 DATA SHOWING THAT ALLOPREGNANOLONE PROMOTES THE
19 GENERATION OF NEW NEURONS AND IMPROVES COGNITIVE
20 FUNCTION IN A MOUSE MODEL OF ALZHEIMER'S.

21 THE APPLICATION PROPOSES IND-ENABLING
22 PRECLINICAL WORK, IND FILING, AND TWO CLINICAL
23 TRIALS. THE FIRST CLINICAL TRIAL WOULD TEST SAFETY,
24 ESTABLISH DOSING, AND LOOK FOR PRELIMINARY SIGNS OF
25 EFFICACY IN ALZHEIMER'S PATIENTS.

BARRISTERS' REPORTING SERVICE

1 THE SECOND WOULD SEEK TO ESTABLISH PROOF
2 OF CONCEPT FOR ALLOPREGNANOLONE IN A LARGER PLACEBO
3 CONTROLLED TRIAL.

4 SO I'LL BRIEFLY SUMMARIZE THE STRENGTHS
5 AND WEAKNESSES OF THE PROPOSAL AS IDENTIFIED BY THE
6 GRANTS WORKING GROUP. AND THEN I'D BE HAPPY TO TAKE
7 ANY QUESTIONS ABOUT THAT.

8 THE MAIN STRENGTHS WERE AS WE'VE HEARD
9 TONIGHT, THE ENORMOUS UNMET MEDICAL NEED REPRESENTED
10 BY ALZHEIMER'S DISEASE, THE APPLICANT'S STRONG
11 PRECLINICAL DATA, AND THE THERAPEUTIC DEVELOPMENT
12 READINESS. SO SPECIFICALLY THAT ALLOPREGNANOLONE
13 HAS ALREADY BEEN TESTED IN HEALTHY HUMAN SUBJECTS
14 AND THE TEAM HAS HELD A PRE-IND MEETING WITH FDA.

15 THERE WERE REALLY TWO KEY WEAKNESSES
16 IDENTIFIED BY REVIEWERS. AND I'M GOING TO READ THEM
17 TO YOU FROM THE REVIEW SUMMARY. THE FIRST IS IN THE
18 FIRST BULLET UNDER SIGNIFICANCE AND IMPACT. SO THIS
19 READS, THE RESPONSIVENESS OF THIS PROPOSAL TO THE
20 RFA IS MARGINAL. WHILE THE APPLICANT PRESENTS
21 PRECLINICAL DATA DEMONSTRATING THAT ALLOPREGNANOLONE
22 HAS EFFECTS ON NEUROPROGENITOR CELLS, IT IS NOT
23 CLEAR THAT THESE EFFECTS ARE RESPONSIBLE FOR THE
24 COGNITIVE IMPROVEMENT OBSERVED IN RODENT MODELS OF
25 AD. THE PHARMACOLOGICAL TARGET OF ALLOPREGNANOLONE

BARRISTERS' REPORTING SERVICE

1 IS WIDELY EXPRESSED IN THE NERVOUS SYSTEM, AND THUS
2 THE DRUG MAY PRODUCE ITS EFFECTS THROUGH MECHANISMS
3 UNRELATED TO NPC PROLIFERATION AND DIFFERENTIATION.
4 SO THAT WAS A RESPONSIVENESS CRITICISM.

5 THE SECOND MAIN WEAKNESS IS IN THE FIRST
6 BULLET UNDER PROJECT RATIONALE, WHICH READS, THE
7 SIDE EFFECTS OF SEDATION AND MEMORY IMPAIRMENT
8 OBSERVED IN A PREVIOUS CLINICAL TRIAL OF
9 ALLOPREGNANOLONE ADD SIGNIFICANT RISK TO THE
10 PROJECT. THESE SIDE EFFECTS MAY MAKE IT DIFFICULT
11 TO OBSERVE COGNITIVE IMPROVEMENT IN ALZHEIMER'S
12 DISEASE PATIENTS.

13 REVIEWERS HAD OTHER CONCERNS ABOUT THE
14 TARGET PRODUCT PROFILE AND INTELLECTUAL PROPERTY
15 PROTECTION FOR ALLOPREGNANOLONE. REALLY THE FIRST
16 TWO CRITICISMS I HIGHLIGHTED WERE THE MAIN DRIVERS
17 OF THE GRANTS WORKING GROUP RECOMMENDATION.

18 THE APPLICATION WAS DISCUSSED DURING
19 PROGRAMMATIC REVIEW, AND A MOTION WAS MADE TO MOVE
20 IT INTO TIER III, NOT RECOMMENDED FOR FUNDING, WHICH
21 CARRIED.

22 AS YOU'VE HEARD, ADDITIONAL CORRESPONDENCE
23 WAS FILED BY THE APPLICANT ON AUGUST 23D, AND I'D BE
24 HAPPY TO ANSWER ANY QUESTIONS ABOUT THE PROJECT OR
25 THE REVIEW.

BARRISTERS' REPORTING SERVICE

1 THE MEETING. AMY, IS LEEZA OUT THERE? WAIT A
2 MINUTE FOR LEEZA TO COME BACK HERE SINCE SHE
3 INITIATED THE DISCUSSION. OKAY.

4 SO YOU'VE NOW HEARD DR. SCHEINER'S
5 DESCRIPTION OF THE PROJECT AND THE RECOMMENDATION OF
6 THE GRANTS WORKING GROUP. JAMES, DO WE NEED TO ASK
7 IF THERE'S A MOTION, OR DO WE PROCEED TO PUBLIC
8 COMMENT FIRST? YOU CAN CHEW. WE HAVE A MOTION.
9 THANK YOU.

10 MR. HARRISON: ANY BOARD MEMBER
11 DISCUSSION?

12 CHAIRMAN THOMAS: ANY OTHER COMMENTS BY
13 MEMBERS OF THE BOARD IS THE NEXT ORDER HERE.

14 MR. SHESTACK: CAN I ASK A QUESTION?
15 MAYBE DR. FEIGAL COULD ANSWER. WHAT WAS THE --
16 SINCE IT WAS PRESENTED NOT AS AN EXTRAORDINARY
17 PETITION, BUT AS WE ARE CALLING -- WHAT'S THE
18 PHRASE?

19 CHAIRMAN THOMAS: OTHER CORRESPONDENCE.

20 MR. SHESTACK: -- OTHER CORRESPONDENCE.
21 WHAT FOMENTED THIS PRESENTATION IS OTHER
22 CORRESPONDENCE. WAS THERE ANY NEW INFORMATION OR
23 DATA, OR WAS IT MORE IN THE LINE OF THE
24 EXTRAORDINARY PETITION, WHICH IS TO SAY THAT PEOPLE
25 JUST OBJECTED TO THE REVIEW?

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: DR. FEIGAL.

2 MR. SHESTACK: WAS THERE SUPPLEMENTAL
3 INFORMATION OR NEW DATA?

4 DR. FEIGAL: WE DID NOT FEEL THERE WAS NEW
5 INFORMATION THAT ADDRESSED KEY POINTS FROM THE
6 GRANTS REVIEW GROUP. SO WE DIDN'T -- THAT'S OUR
7 INTERNAL SCIENTIFIC OPINION. DOES THAT ANSWER YOUR
8 QUESTION?

9 CHAIRMAN THOMAS: DR. FRIEDMAN.

10 DR. FRIEDMAN: ARE WE TALKING ABOUT 05410?

11 CHAIRMAN THOMAS: YES.

12 DR. FRIEDMAN: THEN I HAVE A QUESTION,
13 PLEASE, IF I CAN SWALLOW. COULD STAFF EXPLAIN TO ME
14 WHAT THE STEM CELL-RELATED PORTION OF THIS GRANT IS?
15 IT WAS A LITTLE HARD FOR ME TO UNDERSTAND THAT, SO
16 IF YOU WOULD, PLEASE.

17 DR. TROUNSON: MAYBE, MICHAEL, I CAN TRY.
18 THE REVIEWERS FELT THAT THERE WAS A PROBLEM IN THIS
19 AREA, AND I THINK THAT WAS THE POINT THAT WE WERE
20 TRYING TO STRESS IN THE FIRST INSTANCE, THAT THE
21 REVIEWERS FELT THAT THERE REALLY WASN'T A CONNECTION
22 WITH THE STEM CELL COMPONENT.

23 THE ARGUMENT THAT THERE IS SOMETHING
24 HAPPENING HERE WITH NEURAL STEM CELL COMPONENT WITH
25 THIS DRUG OR WITH THIS STEROID IS RATHER CONJECTURE.

BARRISTERS' REPORTING SERVICE

1 AND I THINK YOU COULD ARGUE EITHER WAY, THAT IN
2 TERMS OF A NEURAL COMPONENT, THERE SEEMS TO BE SOME
3 ADDITIONAL NEURONAL DEVELOPMENT AS A RESULT OF THE
4 STEROID. BUT THERE'S NO GOOD MECHANISM THAT'S BEEN
5 IDENTIFIED THAT WOULD SAY THAT IT REALLY OPERATES
6 REALLY IN ANY STEM CELL COMPONENT, ALTHOUGH HOW YOU
7 GET MORE NEURAL STEM CELLS IN PLACE WITH IT IS
8 DIFFICULT TO DECIDE.

9 SO AT THE VERY BEST, IT'S ARGUED EITHER
10 WAY, THAT IT MIGHT BE A COMPONENT THAT HAS SO FAR
11 ESCAPED OUR DETERMINATION MECHANISTICALLY, BUT
12 THERE'S NO OBVIOUS MECHANISM FOR DIRECT IMPACT OF
13 THAT STEROID ON A STEM CELL POPULATION.

14 DR. FRIEDMAN: AND IF IT'S NOT GETTING
15 INTO COMMERCIAL CONFIDENTIAL INFORMATION OR
16 PROPRIETARY INFORMATION, IS THERE A MORE COMPELLING
17 MECHANISM THAT THE INVESTIGATORS HAVE BEEN ABLE TO
18 IDENTIFY? AGAIN, IF I'M ASKING AN INAPPROPRIATE
19 QUESTION, I DON'T MEAN TO.

20 DR. SCHEINER: THEY PROPOSE THAT IT DOES
21 ACT ON NEUROPROGENITOR CELLS IN THE RODENT AND HUMAN
22 NEURAL STEM CELLS IN CULTURE. BUT, NO, THE
23 REVIEWERS' MAIN CONCERN IS THAT THIS STEROID
24 OPERATES, ACTS THROUGH A RECEPTOR THAT'S WIDELY
25 EXPRESSED IN THE BRAIN. SO IT COULD BE OPERATING BY

BARRISTERS' REPORTING SERVICE

1 MANY MECHANISMS THAT ARE NOT STEM CELL RELATED.

2 DR. TROUNSON: THE DRUG IS WIDELY USED.
3 AND BECAUSE IT'S A NATURAL HORMONE, IT'S USED IN A
4 NUMBER OF DIFFERENT SITUATIONS.

5 CHAIRMAN THOMAS: MS. GIBBONS.

6 MS. GIBBONS: I DON'T KNOW IF IT'S NEW
7 INFORMATION, TO YOUR POINT, JONATHAN, OR IF IT'S
8 JUST INFORMATION THAT I WAS UNAWARE OF, BUT I'D LIKE
9 TO HEAR IF THERE'S ANYBODY OUT HERE WITH THIS
10 PROPOSAL THAT CAN SPEAK TO THIS ABOUT THIS BEING
11 USED EFFECTIVELY FOR TRAUMATIC BRAIN INJURY THAT
12 MIGHT BE INTERESTING TO DR. FRIEDMAN'S POINT.

13 DR. SCHEINER: THERE IS CURRENTLY A PHASE
14 II CLINICAL TRIAL THAT'S ENROLLING FOR TRAUMATIC
15 BRAIN INJURY WITH THIS SAME STEROID AND I BELIEVE
16 THE SAME OR VERY SIMILAR FORMULATION. THAT'S AT UC
17 DAVIS.

18 CHAIRMAN THOMAS: ANY FURTHER DISCUSSION
19 FROM MEMBERS OF THE BOARD? HEARING NONE, LET'S
20 PROCEED TO PUBLIC COMMENT. PLEASE IDENTIFY
21 YOURSELVES, AND REMEMBER THREE MINUTES, PLEASE.

22 DR. DIAZ BRINTON: THANK YOU AGAIN. MY
23 NAME IS DR. ROBERTA DIAZ BRINTON, AND I'M THE
24 PRINCIPAL INVESTIGATOR ON THE PROPOSAL YOU'RE
25 CURRENTLY CONSIDERING FOR ALLOPREGNANOLONE AS A

BARRISTERS' REPORTING SERVICE

1 REGENERATIVE AGENT FOR ALZHEIMER'S DISEASE.

2 AND TO THE POINT ABOUT THE MECHANISM OF
3 ACTION, IT IS TRUE THAT THE GABA CHLORIDE CHANNEL
4 COMPLEX IS WIDELY DISTRIBUTED THROUGH THE BRAIN. IT
5 IS ACTUALLY EXPRESSED IN PROGENITOR CELLS, AND WE
6 HAVE SHOWN THROUGH PEER REVIEWED JOURNALS AND IN NIH
7 FUNDED GRANTS THAT THERE'S A SPECIFIC MECHANISM THAT
8 IS UNIQUE TO NEUROPROGENITOR CELLS IN WHICH
9 ALLOPREGNANOLONE IS ABLE TO PROMOTE THE
10 PROLIFERATION OF THIS NEURAL STEM CELL POPULATION.

11 OTHERS HAVE IDENTIFIED THAT
12 ALLOPREGNANOLONE PROMOTES THE REGENERATION OF WHITE
13 MATTER IN BRAIN. AND WE HAVE EVIDENCE FOR THAT IN
14 OUR MOUSE MODEL OF ALZHEIMER'S DISEASE. AND THE
15 RESTORATION OF LEARNING AND MEMORY CAPACITY IN THESE
16 ANIMALS IS INDICATIVE OF REGENERATION OF NEURONAL
17 CIRCUITRY CONSISTENT WITH A NEUROGENESIS MECHANISM.

18 SO WE HAVE MULTIPLE PEER REVIEWED EVIDENCE
19 FOR THE REGENERATIVE MECHANISM OF ALLOPREGNANOLONE
20 IN THE BRAIN THAT IS WIDELY ACCEPTED IN THE
21 SCIENTIFIC DISCIPLINE.

22 I WOULD ALSO MAKE MENTION THAT WE ARE
23 THERAPEUTIC READY WITH ALLOPREGNANOLONE. WE HAVE
24 FDA ACCEPTANCE OF TREATMENT OF INDIVIDUALS IN A
25 MULTIPLE ASCENDING DOSE STUDY FOR ALLOPREGNANOLONE.

BARRISTERS' REPORTING SERVICE

1 THEY WILL ACCEPT EXISTING SAFETY DATA IN HUMANS. SO
2 WE ARE THERAPEUTICALLY READY TO TEST
3 ALLOPREGNANOLONE.

4 AND WITH RESPECT TO THE SEDATION ISSUE
5 THAT WAS BROUGHT UP, WE ARE USING ONE-TENTH THE DOSE
6 NECESSARY TO INDUCE SEDATION. I WOULD ALSO SAY THAT
7 THE MEMORY COMPONENT OF THIS WILL BE ADDRESSED BY
8 DR. SCHNEIDER, BUT WE MENTIONED 34 TIMES IN OUR
9 PROPOSAL THAT WE WOULD USE A DOSE THAT WAS NOT
10 SEDATIVE. WE HAVE ESTABLISHED THE DOSE RESPONSE
11 RELATIONSHIP AND UNDERSTAND THAT SUBSEDATIVE DOSES
12 THAT DO NOT INDUCE SEDATION ARE NEUROGENIC IN THE
13 BRAIN.

14 I WOULD ALSO MAKE MENTION THAT WE PROVIDED
15 A COMMERCIALIZATION PLAN FROM OUR COMMERCIAL
16 PARTNERS, SAGE THERAPEUTICS, THAT OUTLINES OUR
17 STRATEGY FOR DEVELOPMENT, COMMERCIALIZATION OF
18 ALLOPREGNANOLONE AS A THERAPEUTIC.

19 AND WITH REGARD TO THAT, THERE IS NEW DATA
20 ON ALLOPREGNANOLONE AND THE CLINICAL GMP MATERIAL
21 THAT'S AVAILABLE AND THE PURITY AND THE
22 CERTIFICATION OF THE EXISTING GMP MATERIAL THAT DR.
23 GERHARD BAUER WILL SPEAK ABOUT AS WELL AS OUTCOMES
24 FROM A SERENDIPITOUS CLINICAL PATIENT TREATMENT.

25 SO WE HAVE, I BELIEVE, ADDRESSED THE

BARRISTERS' REPORTING SERVICE

1 ISSUES THAT WERE RAISED BY THE REVIEWERS AND ARE
2 PROCEEDING WITH BEING THERAPEUTIC READY. THE
3 REVIEWERS INDICATED THAT WE WERE THERAPEUTIC READY,
4 AND WE'RE CONTINUING TO ADVANCE OUR THERAPEUTIC
5 READINESS AND ARE READY TO LAUNCH INTO A CLINICAL
6 TRIAL.

7 AND WITH THAT, I WILL ASK MY CLINICAL
8 COLLEAGUE, DR. LON SCHNEIDER, TO RAPIDLY ADDRESS THE
9 ISSUE AROUND MEMORY.

10 DR. SCHNEIDER: THANK YOU. HELLO AGAIN.
11 LON SCHNEIDER. I'M FACULTY AT USC, AND MY WORK FOR
12 25 YEARS HAS BEEN IN DRUG DEVELOPMENT IN ALZHEIMER'S
13 DISEASE. I MAY NOT LOOK IT, BUT I WAS THERE AT THE
14 BEGINNING AND IMPORTANTLY INVOLVED IN THE TEAM THAT
15 BROUGHT ALONG THE FIRST SYMPTOMATIC DRUG, HOWEVER
16 IMPERFECT THE DRUG WAS, COGNEX OR TACRINE. AND MY
17 WORK IS IN DRUG DEVELOPMENT.

18 I WAS ACTUALLY GRATIFIED BY THE REVIEW
19 WORK GROUP THAT THEY DIDN'T SIGNIFICANTLY QUESTION
20 AND SEEM TO HAVE SUPPORTED OUR DRUG DEVELOPMENT
21 PROGRAM, OUR DOSE FINDING STUDY, AND OUR PROOF OF
22 CONCEPT ALONG WITH BIOMARKERS.

23 IN THE FEW SECONDS THAT I HAVE HERE, I DID
24 WANT TO ADDRESS THE SECOND MAIN CONCERN THAT THE
25 REVIEWERS SEEMED TO HAVE, THAT OF SEDATION AND

BARRISTERS' REPORTING SERVICE

1 MEMORY IMPAIRMENT. YES, THE DRUG IS ACTIVE AT THE
2 GABA COMPLEX. YES, IN HIGH DOSES IT'S SEDATIVE AND
3 ANXIOLYTIC. AND IN STUDIES AT HIGHER DOSES, IT WILL
4 CAUSE A LEARNING DEFICIT. INSTEAD OF PROXIMATELY,
5 IS HALF A WORD DIFFERENCE FROM PLACEBO OUT OF 12
6 WORDS. AND THAT IS AN EFFECT THAT LASTS A FAIRLY
7 SHORT AMOUNT OF TIME, FROM ABOUT A HALF AN HOUR TO
8 AN HOUR WITH A SINGLE DOSE.

9 THE DOSES WE ARE USING IN THE DOSE FINDING
10 STUDY AND THE DOSES, BOTH THAT CAME ABOUT FROM
11 PRECLINICAL MODELS AND FROM OUR SIMULATIONS, ARE
12 DOSES THAT START OUT AT ONE-TENTH OF THE DOSE THAT
13 WAS MINIMALLY SEDATIVE IN HUMANS, AND IT'S FIVE
14 DOSES UP TO ABOUT 75, 80 PERCENT OF THAT. SO I
15 THINK IF THIS HAD BEEN AN NIH STUDY SECTION REVIEW,
16 WE WOULD HAVE GOTTEN THE SCORE THAT WE GOT, WE WOULD
17 HAVE GOTTEN THE COMMENTS, AND WE WOULD HAVE
18 RESUBMITTED AND REVISED ON THE ISSUES THAT THE
19 REVIEWERS WERE CONCERNED ABOUT.

20 SO I THINK WITH THAT, AND BEING AWARE OF
21 THE TIME, I'D LIKE TO STOP.

22 DR. DIAZ BRINTON: WE HAVE SEVERAL PATIENT
23 AND CAREGIVERS WHO WOULD LIKE TO ADDRESS THE BOARD.
24 MR. AND MRS. MORALES, IF YOU WOULD.

25 MS. FRANKLIN: ONE IN EIGHT BABY BOOMERS

BARRISTERS' REPORTING SERVICE

1 WILL GET ALZHEIMER'S. I AM A BABY BOOMER. MY NAME
2 IS SUSAN FRANKLIN, AND I HAVE ALZHEIMER'S DISEASE.
3 I WAS DIAGNOSED AT THE AGE OF 58. I WAS AT THE TOP
4 OF MY CAREER, WORKING AS A REGIONAL NETWORK DIRECTOR
5 FOR A HEALTHCARE COMPANY, NEGOTIATING CONTRACTS WITH
6 PHYSICIAN GROUPS AND HOSPITALS. I AM ALSO A
7 REGISTERED NURSE, AND I HOLD A MASTER'S DEGREE FROM
8 UCLA.

9 THE BIGGEST IMPACT TO ME AND MY FAMILY IS
10 THAT I CAN NO LONGER WORK OR DRIVE. I HAVE TROUBLE
11 REMEMBERING AND HAVE DIFFICULTY IN FINDING WORDS TO
12 SPEAK. AFTER RECEIVING MY DIAGNOSIS, I CHOSE TO BE
13 AN ADVOCATE FOR THIS DISEASE, AND THAT KEEPS ME AND
14 MY HUSBAND VERY BUSY.

15 I HAVE BEEN IN TWO CLINICAL STUDIES FOR
16 THIS DISEASE, AND CURRENTLY THERE'S NOTHING TO SLOW
17 OR CURE THIS DEVASTATING DISEASE.

18 TIME IS RUNNING -- TIME IS RUNNING OUT FOR
19 PERSONS LIKE ME. I AM HERE TO SPEAK FOR THE
20 MILLIONS OF OTHERS THAT CANNOT BE HERE TODAY AND
21 THOSE THAT CANNOT REMEMBER. IT'S URGENT TO TEST NEW
22 THERAPIES, ESPECIALLY LIKE THIS USC CIRM ALLO
23 PROJECT FOR REGENERATING BRAIN AND RESTORING
24 COGNITIVE FUNCTION FOR TREATING ALZHEIMER'S. THANK
25 YOU VERY MUCH. I'M SORRY.

BARRISTERS' REPORTING SERVICE

1 MR. MORALES: MY NAME IS SERGE MORALES,
2 AND MY WIFE IS SUSAN FRANKLIN. THE BIGGEST IMPACT
3 OF HER DIAGNOSIS WAS OBVIOUSLY ACCEPTING THE FACT
4 THAT SHE HAS ALZHEIMER'S. IT'S NOT SOMETHING THAT
5 SOMEONE PLANS ON. AS A RESULT OF HER WORD FINDING
6 AND MEMORY ISSUES, I AM LEARNING TO CONTINUE TO
7 LEARN TO BE MORE PATIENT AND TOLERANT IN THINGS THAT
8 SHE DOES.

9 BECAUSE SHE'S UNABLE TO READILY RECALL AND
10 SAY THINGS, I AM CONSTANTLY REMINDING MYSELF THAT
11 IT'S THE DISEASE THAT IS AFFECTING HER. WHAT SUSAN
12 PREVIOUSLY DID AND WITHOUT HESITATION HAS NOW BEEN
13 SLOWED DOWN. I HAVE ALSO BECOME MORE VIGILANT OF
14 HER ACTIVITIES AT HOME AND IN PUBLIC.

15 THROUGH OUR ADVOCACY EFFORTS, WE HAVE
16 BECOME AWARE THAT MORE AND MORE PEOPLE HAVE BEEN
17 DEVELOPING THIS DISEASE. WE HAVE MET PEOPLE IN
18 THEIR LATE 20S, 30S, 40S, AND 50S. IT IS NO LONGER
19 A DISEASE OF THE ELDERLY.

20 SOME SOBERING STATISTICS SHOW THAT EACH
21 DAY 1232 PEOPLE ARE DIAGNOSED WITH ALZHEIMER'S
22 DISEASE. EACH WEEK 8,634 ARE DIAGNOSED. THIS IN
23 ITSELF TELLS YOU THAT TIME IS RUNNING OUT, AND IT IS
24 EXTREMELY IMPORTANT THAT A CURE BE FOUND. THE NEED
25 TO TEST NEW THERAPIES IS CRITICAL, LIKE THE USC CIRM

BARRISTERS' REPORTING SERVICE

1 ALLO PROJECT FOR REGENERATING THE BRAIN AND
2 RESTORING COGNITIVE FUNCTION FOR TREATING
3 ALZHEIMER'S. THANK YOU.

4 DR. DIAZ BRINTON: I'VE ASKED TERESA
5 MARQUEZ TO JOIN US. SHE IS A COMMUNITY ORGANIZER IN
6 BOYLE HEIGHTS IN LOS ANGELES AND IS AN ADVISOR TO
7 OUR ALZHEIMER'S DISEASE RESEARCH CENTER.

8 MS. MARQUEZ: GOOD EVENING. THANK YOU
9 VERY MUCH FOR ALLOWING US TO SPEAK. MY NAME IS
10 TERESA MARQUEZ, AND I AM A COMMUNITY ADVOCATE. AND
11 YOU ARE SAYING WHAT IS A COMMUNITY ADVOCATE DOING
12 HERE? WELL, A FEW MONTHS AGO I GOT A CALL FROM THE
13 COMMUNITY SAYING, "TERRY, THEY'RE CLOSING THE DOORS
14 FOR THE DAYCARE CENTER FOR ALZHEIMER'S PATIENTS."
15 AND THIS IS VERY IMPORTANT IN BOYLE HEIGHTS. IT'S A
16 LOW INCOME COMMUNITY WITH OVER 80,000 IN POPULATION
17 IN A THREE-BY-FIVE MILE RADIUS. AND WE ARE RIGHT
18 NEXT DOOR TO USC ALZHEIMER'S RESEARCH.

19 ONE OF THE MOST DEVASTATING IS THAT THEY
20 GAVE THEM TWO WEEKS' NOTICE TO GET THE PATIENTS
21 BECAUSE THEY WERE GOING TO CLOSE DOWN THE DAYCARE.
22 I STARTED WORKING ON THAT. I CALLED JOHN PEREZ.
23 I'M AN APPOINTEE DELEGATE, DEMOCRATIC DELEGATE, FROM
24 JOHN PEREZ, SPEAKER OF THE HOUSE IN THE STATE OF
25 CALIFORNIA. AND RIGHT AWAY I STARTED TALKING TO HIM

BARRISTERS' REPORTING SERVICE

1 WHEN HE WAS WORKING ON THE BUDGET. AND HE SAYS,
2 "I'M WORKING ON THE BUDGET RIGHT NOW. I CAN'T TALK
3 TO YOU." WELL, I SAID YOU ARE GOING TO HAVE TO.

4 WHAT I REALIZED -- AND THAT'S THE WAY I
5 AM. OKAY. WHAT I REALIZE, THAT THERE IS SO MUCH
6 FUNDS BEING TAKEN AWAY FROM THIS DISEASE, WHETHER IT
7 IS DAYCARE OR RESEARCH. AND RIGHT NOW USC HAS
8 OPENED UP THE DOORS FOR THIS COMMUNITY, AND THIS
9 COMMUNITY HAS DEVELOPED A TRUST IN USC THAT IS
10 PHENOMENAL BECAUSE I'VE NEVER SEEN AN HISPANIC
11 COMMUNITY TO OPEN UP AND BE TRUSTFUL WITH USC
12 ALZHEIMER'S RESEARCH. AND WE CANNOT STOP THAT.

13 I WANT TO SUPPORT FOR YOU TO ALLOW THIS
14 MONEY TO COME TO THIS STUDY BECAUSE OF THE ENORMOUS
15 AMOUNT OF WORK THAT THEY HAVE ALREADY DONE AND THE
16 RESEARCH THEY ALREADY HAVE COMMITTED, AND THE
17 PATIENTS THAT HAVE ALREADY COMMITTED TO THE
18 RESEARCH. I'M ONE OF THOSE PATIENTS TOO EVEN THOUGH
19 I DON'T SUFFER FROM ALZHEIMER'S RIGHT NOW. BUT I
20 WANT TO PLEASE PLEAD WITH YOU THAT IF I HAVE IN MY
21 COMMUNITY ALONE OUT OF SIX COMMUNITIES AROUND USC
22 HAS 80,000 IN POPULATION, ONE OUT OF EIGHT IS 10,000
23 PEOPLE THAT WILL DEVELOP ALZHEIMER'S. AND I COULD
24 JUST NOT IMAGINE THE COST AND THE DEVASTATING
25 PROCESS ON THIS.

BARRISTERS' REPORTING SERVICE

1 ONE OF THE THINGS IS THAT IN THE
2 LOW-INCOME COMMUNITY, THEY DON'T HAVE THE MONEY FOR
3 HAVING SOMEONE COME AND CARE. ONE OF THE PEOPLE
4 HAVE TO STOP WORKING. IF THEY'RE MAKING 40,000 A
5 YEAR FOR FAMILY, THEY ARE GOING TO BE MAKING 20,000
6 BECAUSE ONE HAS TO STOP WORKING TO TAKE CARE OF THE
7 PATIENT. THANK YOU.

8 DR. DIAZ BRINTON: WE HAVE ANOTHER PATIENT
9 AND CAREGIVER, THE BENIZES, MR. AND MRS. BENIZ
10 (PHONETIC), AND MERYL BENIZ WILL SPEAK TO YOU FIRST.

11 MS. BENIZ: HI. I'M MERYL BENIZ. THANK
12 YOU FOR YOUR TIME TODAY. MY NAME IS MERYL BENIZ,
13 AND I'M 59 YEARS OLD. AND THE FIRST SIGNS OF
14 ALZHEIMER'S APPEARED WHEN I WAS 53. I HAVE NO OTHER
15 HEALTH ISSUES, BUT MY LIFE WAS COMPLETELY CHANGED.
16 PLEASE DO WHAT YOU CAN TO SUPPORT ALZHEIMER'S
17 RESEARCH. THANK YOU.

18 MR. BENIZ: GOOD EVENING. MY NAME IS CARY
19 BENIZ. AND FIRST LET ME EXPLAIN THAT I AGREED TO
20 TRY TO CONTRIBUTE TO THIS CAUSE AND COME UP HERE
21 TODAY UNDER THE UNDERSTANDING THAT MY WIFE COULD NOT
22 BE HEARING WHAT I AM GOING TO EXPRESS TO YOU
23 BECAUSE, FRANKLY, SHE DOESN'T COMPREHEND THOSE KINDS
24 OF THINGS AND SHE DOESN'T NEED TO SINCE, AS A
25 CAREGIVER, IN THE END THE GOAL IS KEEP THEM HAPPY.

BARRISTERS' REPORTING SERVICE

1 MY WIFE AND I WILL HAVE BEEN MARRIED 30
2 YEARS NEXT MONTH. ALTHOUGH SHE IS INDEED MY WIFE,
3 MY ROLE HAS NOW CHANGED COMPLETELY TO ONE ALMOST
4 PURELY AS A CAREGIVER. THE HUSBAND-WIFE
5 RELATIONSHIP PRETTY MUCH CEASES TO EXIST.

6 OF COURSE, IT'S A DEVASTATING DISEASE TO
7 THE PATIENT, AS YOU JUST HEARD MY WIFE, BUT IT'S
8 ALSO DEVASTATING TO THE CAREGIVER AND EVERYONE
9 AROUND THE PATIENT.

10 MERYL SPENT MOST OF HER CAREER AS AN
11 EXECUTIVE ASSISTANT TO PRESIDENTS OF VARIOUS LARGE
12 CORPORATIONS. AND TO DO THAT, YOU OBVIOUSLY HAD TO
13 BE VERY PROFICIENT AT ORGANIZATIONAL SKILLS,
14 MULTITASKING, ETC. CLEARLY THAT DOESN'T WORK
15 ANYMORE. HER CURRENT SITUATION IS SHE CURRENTLY HAS
16 NO SHORT-TERM MEMORY. SHE HAD TO STOP WORKING AND
17 DRIVING FOUR YEARS AGO. SHE CAN NO LONGER READ A
18 BOOK OR MAGAZINE. THAT WAS HER LOVE. SHE STRUGGLES
19 JUST TO MAKE A SIMPLE PHONE CALL. SHE CAN'T COOK OR
20 DO CHORES OR DO ANYTHING TO MAKE HER FEEL LIKE SHE'S
21 CONTRIBUTING AROUND THE HOME, WHICH IS DIFFICULT FOR
22 HER. SHE HAS NO IDEA WHAT'S GOING ON IN THE WORLD.
23 SHE REALLY DOESN'T UNDERSTAND WHY WE'RE HERE TODAY.
24 SHE REALLY DOESN'T UNDERSTAND WHAT'S GOING ON IN OUR
25 FAMILY.

BARRISTERS' REPORTING SERVICE

1 AND SOON I AND THOSE AROUND HER WILL LOOK
2 AT THIS AS THE GOOD OLD DAYS. IT'S NOT THAT FAR
3 AWAY. WHAT'S THE SITUATION FOR THOSE SUPPORTING
4 MERYL? I BALANCE A FULL-TIME JOB AND AM ALSO HER
5 PRIMARY CAREGIVER. WHEN I'M NOT AT WORK, I'M
6 CONSUMED BY THE CHORES OF THE FAMILY AND THE HOME
7 AND EVERYTHING ELSE YOU HAVE TO DO IN LIFE THAT SHE
8 CAN NO LONGER CONTRIBUTE TO. WHEN I GET HOME AT THE
9 END OF THE DAY, SHE DOESN'T KNOW WHAT SHE DID DURING
10 THE DAY. SHE DOESN'T KNOW WHO SHE TALKED TO. IF I
11 LOOK ON HER CELL PHONE AND FIND OUT SHE TALKED TO
12 SOMEBODY 20 MINUTES AGO, LIKE HER FATHER, SHE HAS NO
13 IDEA WHAT SHE TALKED TO HIM ABOUT. AND SHE DOESN'T
14 KNOW WHAT'S GOING ON IN THE WORLD. SO, FRANKLY,
15 THERE'S NOTHING TO TALK ABOUT.

16 OUR DAUGHTER'S MOVED BACK HOME FROM GRAD
17 SCHOOL TO BE WITH HER MOTHER FOR AS MUCH TIME AS SHE
18 CAN OF WHAT'S REMAINING. AND WHAT DOES THE FUTURE
19 LOOK LIKE? RELATIVELY SOON, EVERYONE IS DIFFERENT,
20 BUT RELATIVELY SOON, HER LONG-TERM MEMORY WILL ALSO
21 FADE. SHE WON'T KNOW ME, HER FAMILY, HER FRIENDS.
22 YOU ALL KNOW THAT. IT'S HIGHLY UNLIKELY THAT SHE'LL
23 BE AT MY DAUGHTER'S WEDDING, EXTREMELY UNLIKELY
24 SHE'LL GET TO KNOW HER GRANDCHILDREN. AND IN THE
25 END, SHE'LL END UP IN A LONG-TERM CARE FACILITY.

BARRISTERS' REPORTING SERVICE

1 AND, FRANKLY, I'LL BE IN MY EARLY 60S BY THEN AND
2 WITHOUT A LIFE PARTNER, STARTING OVER AGAIN.

3 ALZHEIMER'S IS A CRUEL DISEASE THAT IS, OF
4 COURSE, DEVASTATING TO THE PATIENT, BUT ALSO TO
5 EVERYONE AROUND THEM. IT'S IMPERATIVE NEW
6 ALZHEIMER'S THERAPIES BE TESTED LIKE THE USC CIRM
7 ALLO PROJECT FOR REGENERATING THE BRAIN AND
8 RESTORING COGNITIVE FUNCTION.

9 AS YOU'VE HEARD REPEATEDLY, OVER FIVE
10 MILLION PEOPLE IN THE UNITED STATES HAVE THIS AND
11 IT'S GROWING FAST. SO I THANK YOU FOR YOUR TIME,
12 AND I THANK YOU FOR YOUR SUPPORT IN ADDRESSING THIS
13 DEVASTATING DISEASE OF THE FAMILY. THANK YOU.

14 DR. DIAZ BRINTON: OUR LAST SPEAKER IS DR.
15 GERHARD BAUER FROM UC DAVIS, WHO WILL BE SPEAKING
16 ABOUT THE ALLOPREGNANOLONE.

17 DR. BAUER: THANK YOU. YOU KNOW ME. IN
18 MY SPARE TIME, I DO NOT DO WHATEVER I DO WITH MY
19 CELLS HERE. I ALSO DEVELOP DRUGS. AND THIS DRUG IS
20 IMPORTANT FOR ME BECAUSE MY FATHER DIED OF
21 ALZHEIMER'S DISEASE. TEN YEARS WORTH OF SUFFERING.
22 I HAVE A 50-PERCENT CHANCE OF GETTING IT. MAYBE I
23 WON'T RECOGNIZE YOU IN A FEW YEARS DOWN THE ROAD;
24 BUT WHILE I DO, I'M GOING TO TELL YOU WHAT I'M
25 ACTUALLY DOING WITH THIS DRUG.

BARRISTERS' REPORTING SERVICE

1 FIRST OF ALL, WE'RE CLINICALLY READY. I
2 MAKE THE FORMULATION. I DEVELOPED IT BECAUSE IT
3 WASN'T HERE BEFORE. THIS DRUG HAD TO BE MADE
4 AVAILABLE TO THE BODY BECAUSE IT'S NOT WATER
5 SOLUBLE. IT WAS ACTUALLY INTENDED FOR TRAUMATIC
6 BRAIN INJURY. WE HAVE NOW A PHASE II CLINICAL STUDY
7 APPROVED BY THE FDA BASED ON THE FORMULATION THAT I
8 DEVELOPED. WHAT DID I DO? I DEVELOPED A
9 CONCENTRATE THAT WE CAN FREEZE, AND THAT CONCENTRATE
10 CAN BE SHIPPED ALL OVER THE PLACE FROZEN BECAUSE IT
11 NOW CAN GO TO THE BATTLEFIELD. THIS IS WHAT IT WAS
12 INTENDED, TO TREAT TRAUMATIC BRAIN INJURY OF
13 SOLDIERS ON THE BATTLEFIELD. IT HAS SIDE EFFECTS
14 AND CAN BE USED TO SAVE PEOPLE.

15 HERE'S THE ANECDOTE. A FEW DAYS AGO WE
16 WERE CALLED BY MASS GENERAL. THERE WAS A
17 23-YEAR-OLD, AND THAT 23-YEAR-OLD WAS IN A TERRIBLE
18 ACCIDENT AND HE HAD TRAUMATIC BRAIN INJURY. HE WAS
19 NOT ELIGIBLE FOR THE STUDY BECAUSE HE WAS NOT
20 STOPPING TO SEIZE. YOU KNOW WHAT THAT MEANS? YOU
21 CAN'T STOP SHAKING, AND YOUR BRAIN IS DYING. SO
22 THEY HAD TO SEDATE HIM, AND THE SEDATION IS STRONG
23 LIKE ANESTHESIA, AND THEY SAID HE DOESN'T HAVE ANY
24 TIME TO LIVE ANYMORE.

25 SO WHAT ARE WE GOING TO DO? THE FAMILY

BARRISTERS' REPORTING SERVICE

1 FOUND OUT THAT DR. ROGOWSKI AT UC DAVIS HAD THIS
2 DRUG AND THAT WE CAN MAKE IT. SO WE WERE ASKED TO
3 QUICKLY HAVE AN FDA EMERGENCY EXCEPTION. GUESS
4 WHAT? WE GOT IT. THE PATIENT IS ALLOWED TO BE
5 TREATED WITH OUR DRUG. SO WE MADE IT. WE MADE IT
6 AND WE MADE IT AT NIGHT. SO OUR STAFF CAME IN, WE
7 DID THE DRUG, WE SENT 16 INFUSION BAGS UP TO MASS
8 GENERAL. ON THURSDAY WE STARTED. PATIENT WAS STILL
9 SEIZING. ON FRIDAY HE STARTED TO RESPOND AND MONDAY
10 HE WOKE UP.

11 SO IF YOU WANT TO KNOW IF THAT DRUG WORKS,
12 IT DOES. THANK YOU.

13 CHAIRMAN THOMAS: I BELIEVE THAT CONCLUDES
14 PUBLIC COMMENT. IS THERE FURTHER DISCUSSION BY
15 MEMBERS OF THE BOARD? I THINK WE CAN -- ONE MINUTE.
16 IS THERE ANY OTHER DISCUSSION BY MEMBERS OF THE
17 BOARD ON THIS PARTICULAR ITEM?

18 DR. JUELSGAARD: EARLY ON IN THIS
19 DISCUSSION, DR. FRIEDMAN ASKED DR. TROUNSON WHETHER
20 THIS REALLY AT THE END OF THE DAY WORKED ON NEURAL
21 STEM CELLS, AND DR. TROUNSON INDICATED THAT THERE
22 WAS SOME QUESTION ABOUT THAT. IN ONE OF THE SLIDES
23 THAT DR. FEIGAL PRESENTED WERE THE GROUPS OF
24 COMPOUNDS, MOLECULES, CELLS THAT WE ARE PREPARED TO
25 SUPPORT. NOT JUST PREPARED TO SUPPORT, BUT WE HAVE

BARRISTERS' REPORTING SERVICE

1 THE AUTHORITY TO SUPPORT. AND I THINK WE JUST NEED
2 TO BE CLEAR IN OUR OWN MINDS WHETHER WHAT'S BEING
3 PROPOSED HERE, THIS PARTICULAR MOLECULE, FALLS
4 WITHIN ONE OF THOSE FOUR DIFFERENT GROUPINGS IN OUR
5 MIND OR NOT. BECAUSE I DON'T THINK WE'RE AT THE END
6 OF THE DAY TO BE FUNDING CLINICAL TRIALS THAT AREN'T
7 STEM CELL RELATED.

8 AND SO I THINK IT'S IMPORTANT THAT WE
9 FIGURE OUT WHETHER THIS MOLECULE IS IN THAT GROUPING
10 OR NOT.

11 DR. TROUNSON: IT'S REALLY DIFFICULT TO
12 ANSWER THAT BECAUSE I GUESS THAT YOU ALSO CONSIDER
13 OUR BRIEF TO GO OUT TO PROGENITOR CELLS. SO IS IT
14 POSSIBLE THAT THIS DRUG HAS SOME ROLE IN DRIVING THE
15 PROGENITOR CELL FORMATION OR MULTIPLICATION. IT'S
16 REALLY DIFFICULT TO DECIDE, AND IT'S GONE THROUGH
17 THE PROCESSES INTERNALLY AS IF IT'S SUFFICIENTLY
18 WITHIN THE DEFINITIONS. BUT THE GRANTS WORKING
19 GROUP REALLY DID QUERY THIS PRETTY STRONGLY AND THEN
20 MADE THAT A STRONG COMMENT ON IT.

21 SO WE THOUGHT THAT WE NEEDED TO PASS THAT
22 ON TO YOU BECAUSE THEY DID QUESTION US ABOUT THIS.
23 AND IT'S ARGUABLY ONE WAY OR THE OTHER IN SOME
24 RESPECTS BECAUSE THE TROUBLE WITH CIRM'S BRIEF IS
25 IT'S PRETTY BROAD. IF YOU SAY STEM CELLS AND

BARRISTERS' REPORTING SERVICE

1 PROGENITOR CELLS, YOU'RE REALLY KIND OF SAYING
2 EVERYTHING EXCEPT THOSE UNDIFFERENTIATED CELLS.
3 IT'S A PRETTY DIFFICULT ONE TO CALL ON WITHOUT
4 KNOWING THE EXACT MECHANISMS.

5 IF WE KNEW THE EXACT MECHANISMS OF HOW
6 IT'S WORKING, THEN I THINK WE COULD BE MUCH MORE
7 SPECIFIC IN ADDRESSING YOUR QUESTION, BUT WE REALLY
8 ARE NOT WELL INFORMED ABOUT THE EXACT MECHANISM OF
9 HOW THIS STEROID IS ACTING.

10 DR. JUELSGAARD: I'M STILL A LITTLE, I
11 GUESS, PERPLEXED BECAUSE I HAD UNDERSTOOD, AND
12 PERHAPS WRONGLY, THAT WHAT WE WERE FUNDING WERE
13 PROJECTS THAT FIT WITHIN THOSE FOUR GROUPINGS ON THE
14 ONE SLIDE THAT DR. FEIGAL PRESENTED MUCH EARLIER
15 THIS EVENING. AND IF WE IN OUR OWN MINDS CAN'T BE
16 COMFORTABLE THAT IT FITS WITH ONE OF THOSE GROUPS,
17 THEN WHAT ARE WE TO DO?

18 DR. TROUNSON: WELL, I THINK IT'S UP TO
19 THE BOARD. WE'RE TRYING TO GIVE YOU AS MUCH
20 INFORMATION AS WE CAN PROVIDE. AND YOU COULD ASK A
21 LOT OF PEOPLE AND MAYBE GET QUITE A VARIETY OF
22 ANSWERS ON THIS, I SUSPECT. SO THE GRANTS WORKING
23 GROUP SCIENTISTS DID QUESTION WHETHER THIS WAS
24 REALLY WORKING ON A STEM CELL POPULATION. AND SO WE
25 BROUGHT THAT TO YOUR ATTENTION BECAUSE THAT'S ONE OF

BARRISTERS' REPORTING SERVICE

1 THE KEY ISSUES IN THEIR MIND.

2 AS WE SAID, WE USUALLY TRY AND MAKE SURE
3 THAT THEY DO FIT WITHIN THE PROGRAM, SO -- BUT UNTIL
4 YOU ACTUALLY GET THE FULL SCIENTIFIC STUDY
5 SOMETIMES, YOU'RE ALWAYS A LITTLE UNCERTAIN. BUT
6 THIS -- I THINK IT FITS -- THE MANAGEMENT GROUP FELT
7 THAT IT FITTED RELATIVELY WELL. THE GRANTS WORKING
8 GROUP QUESTIONED THAT. SO IT REMAINS QUESTIONABLE,
9 AND I THINK YOU HAVE TO DECIDE YOURSELVES ON IT. I
10 THINK THAT'S REALLY WHERE IT FALLS AT THIS POINT.

11 DR. JUELSGAARD: TO DISAGREE WITH YOU FOR
12 A MOMENT, I DON'T THINK THAT'S NECESSARILY A
13 DECISION -- I GUESS IT'S A DECISION WE CAN MAKE, BUT
14 I THINK WHAT WE REALLY NEED IS MANAGEMENT'S OPINION
15 ON THAT. AND WHAT I'M HEARING YOU SAY IS THAT IT'S
16 YOUR OPINION THAT IT FITS WITHIN ONE OF THE FOUR
17 GROUPINGS THAT WE'RE ALLOWED TO CONSIDER IN TERMS OF
18 GRANTS. THE GRANTS WORKING GROUP MAY HAVE
19 QUESTIONED THAT, BUT YOUR INITIAL OPINION WAS THIS
20 WAS WORTHY OF REVIEW AS FALLING WITHIN ONE OF THOSE
21 FOUR GROUPS?

22 DR. TROUNSON: YES. BECAUSE IT WENT
23 THROUGH A PLANNING AWARD, RIGHT. SO WE'VE HAD A
24 COUPLE OF OCCASIONS TO CONSIDER THAT ISSUE. AND I
25 CAN'T REMEMBER THE DISCUSSION AT THE PLANNING

BARRISTERS' REPORTING SERVICE

1 AWARDS. MAYBE SOMEONE ELSE CAN, GIL OR SOMEONE ELSE
2 CAN REMEMBER WHETHER THAT WAS BROUGHT UP AT THAT
3 POINT IN TIME, BUT IT WAS CERTAINLY BROUGHT UP AT
4 THE FINAL DISCUSSION. SO, YES, WE FELT -- I THINK
5 WE'RE FAIR TO SAY THAT MANAGEMENT DIDN'T HAVE STRONG
6 VIEWS ABOUT SAYING THIS WAS OUT OF ORDER AND FELT
7 THAT IF THIS MIGHT BE A MOLECULE THAT ACTUALLY
8 INDUCES ENDOGENOUS CELLS TO ENDOGENOUSLY MULTIPLY IN
9 SOME WAY, THAT FALLS WITHIN OUR PORTFOLIO. SO, GIL,
10 DO YOU RECALL AT ALL?

11 DR. SAMBRANO: MY RECOLLECTION IS THAT
12 THIS PROJECT IS ONE THAT FELL KIND OF ON THE LINE.
13 THERE WAS QUESTION AS TO WHETHER IT WAS ELIGIBLE OR
14 NOT. AND THE DECISION WAS TO ALLOW THE GRANTS
15 WORKING GROUP ITSELF TO VET THAT, TO CONSIDER THE
16 PROPOSAL. AND SO WE DEEMED IT TO BE ELIGIBLE FOR
17 REVIEW, AND THEN HAVE THE WORKING GROUP DETERMINE
18 THAT.

19 AT THE PLANNING AWARD STAGE, I THINK IT
20 WAS SUFFICIENT SUCH THAT WE AWARDED THE PLANNING
21 AWARD, BUT THEN THE QUESTION AROSE DURING THE
22 RESEARCH AWARD PROPOSAL AGAIN AS TO WHETHER THIS
23 WOULD QUALIFY. SO, IN ESSENCE, WE LEFT THE QUESTION
24 TO THE GRANTS WORKING GROUP.

25 DR. TROUNSON: I TAKE IT THAT YOU WOULD

BARRISTERS' REPORTING SERVICE

1 MUCH RATHER US MAKE A FIRM DECISION ON IT. AS IT
2 TURNED OUT IN THIS PARTICULAR CASE, WE WERE SORT OF
3 MAYBE ON THE LINE, MAYBE A LITTLE INSIDE THE LINE,
4 AND THE GRANTS WORKING GROUP WAS OUTSIDE THE LINE IN
5 TERMS OF THEIR PUTTING. SO IT IS A LINE CALL, TO BE
6 HONEST.

7 DR. FRIEDMAN: JUST A COUPLE OF POINTS,
8 PLEASE. ONE IS THAT ALTHOUGH WE'RE KEENLY
9 INTERESTED IN MECHANISMS AND WHAT'S REALLY HAPPENING
10 HERE, I DON'T WANT TO SEEM TOO FASTIDIOUS IN --
11 THERE ARE A LOT OF THINGS WE CAN'T PROVE, AND I
12 RECOGNIZE THAT, AND I'M NOT ASKING FOR A LEVEL OF
13 PROOF FOR THIS PROPOSAL THAT WE DON'T HAVE WITH
14 OTHER PROPOSALS.

15 I THINK THE ANECDOTE THAT WAS DESCRIBED TO
16 US IS A VERY PROVOCATIVE ONE. IT CERTAINLY IS
17 WORTHY OF A LOT OF ATTENTION AND STUDY. AND I'M
18 SURE THAT BOTH IN BOSTON AND IN SACRAMENTO THIS IS
19 BEING LOOKED AT VERY CLOSELY. IT'S A LITTLE HARD,
20 AND I'M NOT AN EXPERT IN THIS AREA, OS AND OTHER
21 PEOPLE ARE, IT'S A LITTLE HARD FOR ME TO UNDERSTAND
22 IF THIS WERE A STEM CELL EFFECT, WHY WE'RE SEEING IT
23 IN A COUPLE OF DAYS. THERE MAY BE VERY GOOD
24 MECHANISMS FOR THAT, AND I'M JUST ADMITTING MY OWN
25 IGNORANCE. I DO SEE THIS AS A POWERFUL, INTERESTING

BARRISTERS' REPORTING SERVICE

1 MOLECULE. I DO SEE THIS AS A REALLY WORTHWHILE
2 THING TO STUDY. AND SO I DON'T CHALLENGE THAT AT
3 ALL, AND WHETHER IT WORKS FOR ALZHEIMER'S OR WHETHER
4 IT MERELY WORKS FOR TRAUMATIC BRAIN INJURY, WOULDN'T
5 THAT BE WONDERFUL? YES, OF COURSE, IT WOULD.

6 I, AGAIN, AM NOT TRYING TO BE OVERLY
7 FASTIDIOUS, BUT I'M TROUBLED BY A LOT OF QUESTIONS
8 IN THIS REGARD THAT PERHAPS WE'LL HAVE BETTER
9 ANSWERS TO AS SOME OF THE OTHER STUDIES THAT ARE
10 GOING ON COME TO FRUITION.

11 DR. TROUNSON: WELL, I THINK IT'S NOT
12 GOING TO WORK ON A STEM CELL POPULATION WITHIN A
13 COUPLE OF DAYS. YOU'RE RIGHT. AND EVEN IN A
14 PROGENITOR POPULATION. BUT IT MAY BE A COFACTOR FOR
15 SOMETHING, YOU SEE. AND SO WE'LL ACCEPT THAT IT'S A
16 COFACTOR OF SOME KIND, BUT IT CAN'T -- IT ACTUALLY
17 CAN'T INDUCE A STEM CELL POPULATION IN THAT TIME
18 FRAME.

19 DR. STEWARD: JUST ACTUALLY A COUPLE OF
20 POINTS AND TO BUILD ON DR. FRIEDMAN'S COMMENTS.
21 WHAT WE'RE SEEING HERE IS A PROPOSAL THAT REPORTS A
22 POSITIVE BENEFIT ON MEMORY AND THAT REPORTS A
23 POSITIVE EFFECT ON STEM CELL PROLIFERATION. AND IN
24 A SENSE WE'RE LOOKING AT THIS AT WHAT WOULD BE
25 NORMALLY A PRETTY EARLY STAGE EXCEPT THAT THIS THING

BARRISTERS' REPORTING SERVICE

1 REALLY CAN GO TO A CLINICAL TRIAL VERY QUICKLY. IF
2 IT WASN'T A DRUG THAT HAD BEEN AROUND FOR A LONG
3 TIME AND TRIED IN OTHER WAYS AND ALREADY IN USE FOR
4 OTHER THINGS, THEN YOU'D WANT TO SEE A LOT OF THIS
5 PROOF OR MAYBE YOU'D WANT TO.

6 I THINK AT THE END OF THE DAY, REALLY
7 ESTABLISHING DEFINITELY ONE WAY ANOTHER WHETHER
8 PROLIFERATION OF STEM CELLS THAT IS INDUCED BY X, Y,
9 OR Z IS ACTUALLY THE CAUSE OF WHATEVER OUTCOME.
10 IT'S GOING TO BE A HUGE ISSUE. LEVELS OF PROOF ARE
11 GOING TO VARY TREMENDOUSLY.

12 SO WE ARE WHAT WE ARE AT THIS ONE. I
13 THINK WE GAVE IT A PLANNING GRANT. IN A SENSE WE
14 DEFINED IT AS IN SCOPE THEN. TO ME THAT'S SORT OF
15 THE END OF THE STORY ON THAT.

16 THE SECOND POINT I WANT TO MAKE, THOUGH,
17 IS A LITTLE BIT DIFFERENT, AND IT HASN'T BEEN MADE
18 YET. JUST TO REMIND EVERYBODY THAT ONE OF THE
19 CRITERIA FOR REVIEW OF THESE GRANTS WAS
20 COMMERCIALIZATION POTENTIAL. AND JUST TO SAY, I DO
21 SIT ON THESE REVIEWS AS A PATIENT ADVOCATE. AND
22 THIS WAS AN IMPORTANT CRITICISM OF THIS GRANT
23 BECAUSE IT DOESN'T HAVE IP PROTECTION. SO THE
24 QUESTION WAS RAISED, WELL, HOW ARE YOU GOING TO TAKE
25 IT TO THE NEXT STEP?

BARRISTERS' REPORTING SERVICE

1 AND ALL OF THIS DISCUSSION HAS BEEN ABOUT
2 SCIENCE, AND I THROW THAT OUT THERE. I'M NOT
3 THROWING IT OUT THERE AS A CRITICISM ACTUALLY. I'M
4 THROWING IT OUT THERE BECAUSE THE POINT THAT I MADE
5 IN PROGRAMMATIC CONSIDERATION IS THAT IF THERE'S A
6 BIG POSITIVE EFFECT HERE, BELIEVE ME, WE'LL FIGURE
7 OUT A WAY TO FUND IT. IT JUST DOESN'T, I DON'T
8 THINK, HAVE TO GO THROUGH THE SAME KIND OF PROCESS
9 YOU WOULD CONSIDER FOR ANOTHER DRUG BECAUSE OF THE
10 HUGE NEED. I THINK THAT REALLY WITH PRIVATE
11 FOUNDATIONS AND DONATIONS, I THINK THE FURTHER STEPS
12 IN BRINGING THIS FORWARD COULD ACTUALLY BE ACHIEVED
13 FAIRLY EASILY. SO I JUST WANTED TO MAKE THOSE TWO
14 POINTS. THANK YOU.

15 CHAIRMAN THOMAS: COMMENTS FROM OTHER
16 MEMBERS OF THE BOARD?

17 MS. GIBBONS: THANK YOU, MR. CHAIR. I
18 KNOW ALL OF US ARE STRUGGLING WITH TRYING TO FIND
19 SOME COMFORT WITH REGARD TO, AND IT'S A BIG AGENDA
20 ITEM FOR US, WITH REGARD TO WHEN EXTRAORDINARY
21 PETITIONS ARE CONSIDERED AND HOW THINGS ARE BROUGHT
22 FORTH FOR RE-REVIEW AND HOW MUCH TIME PEOPLE HAVE.
23 I DON'T KNOW WHETHER THIS IS AN APPROPRIATE QUESTION
24 OR NOT OR SOMETHING FOR US TO DISCUSS WHEN WE GET TO
25 THAT AGENDA ITEM.

BARRISTERS' REPORTING SERVICE

1 WITH REGARD TO THIS PARTICULAR ONE, I
2 WOULD LIKE TO KNOW WAS THAT NOT MADE CLEAR, OR WHY
3 THE TEAM WAS NOT TIMELY IN COMING FORTH WITH THE
4 MORE STANDARD ROUTE OF EXTRAORDINARY PETITION.

5 DR. TROUNSON: I KIND OF THINK IT'S
6 SELF-OBVIOUS, LEEZA. THE EXAMPLE SET AT THE LAST
7 BOARD MEETING CERTAINLY INDUCED THE TEAM TO THINK
8 THAT MAYBE THEY SHOULD HAVE DONE IT AS WELL. AND
9 I'M DEAD SURE THAT'S EXACTLY THE REASON. AND SO
10 THERE WERE SUCH A LOT OF THESE THAT WENT THROUGH TO
11 RECONSIDERATION, YOU'RE KIND OF SILLY IF YOU DIDN'T.
12 THAT WAS ESSENTIALLY THE POINT, RIGHT?

13 MS. GIBBONS: BUT THIS ONE, I THINK, CAME
14 IN AT A DIFFERENT TIME. AM I WRONG?

15 DR. TROUNSON: YEAH, AFTERWARDS. WHEN IT
16 WAS OBVIOUS THAT IF YOU DID THIS, YOU'D HAVE A
17 CHANCE OF BEING RE-REVIEWED IN SOME WAY.

18 MS. GIBBONS: SO SHOULD THE ASSUMPTION BE,
19 MAYBE I SHOULD BE ASKING THE TEAM, WAS THERE NOT AN
20 AWARENESS THAT THAT NEEDED TO BE DONE?

21 DR. TROUNSON: EVERYBODY GOT EXACTLY THE
22 SAME INFORMATION. SO IT WAS CLEAR FROM THE BOARD
23 MEETING THAT WE'D ACTUALLY CHANGED A LITTLE. WE
24 NEVER HAD SUCH A LARGE NUMBER GO THROUGH, AND IT WAS
25 A VERY STRONG INDICATOR, AS YOU'D EXPECT. THE

BARRISTERS' REPORTING SERVICE

1 PEOPLE TAKE NOTICE OF THAT. AND BECAUSE THE STUDIES
2 HADN'T BEEN COMPLETED, YOUR DECISIONS HADN'T BEEN
3 MADE, SO THE TEAM THOUGHT IT WAS REASONABLE TO PUT
4 MORE INFORMATION IN. AND IT WAS CLEARLY -- THAT'S
5 THEIR RIGHT TO DO, BUT IT WASN'T -- BECAUSE IT HAD
6 GONE BEYOND THE EXTRAORDINARY PETITION TIME, WE GAVE
7 IT A LITTLE DIFFERENT NAME, BUT IT'S ESSENTIALLY
8 INFORMATION FOR YOU.

9 DR. STEWARD: I JUST WANTED TO SAY
10 EXPLICITLY THAT MY COMMENTS AREN'T BASED ON THE
11 EXTRAORDINARY PETITION AT ALL. I THINK THAT THESE
12 COMMENTS WOULD HAVE COME UP IN THE DISCUSSION OF
13 THIS PROJECT BEFORE. IT'S JUST THAT WE TRUNCATED
14 THE CONSIDERATION LAST TIME, YOU MAY REMEMBER, AND
15 THIS ACTUALLY NEVER CAME UP FOR DISCUSSION AT OUR
16 LAST BOARD MEETING. WE DID NOT HAVE -- THERE WAS
17 NEVER A MOTION ON IT. SO THERE WAS NO OPPORTUNITY
18 FOR PUBLIC COMMENT.

19 CHAIRMAN THOMAS: DR. SAMBRANO.

20 DR. SAMBRANO: I THINK IT'S IMPORTANT TO
21 KNOW THAT ALL APPLICANTS DO RECEIVE INFORMATION
22 ABOUT THEIR OPTIONS. SO IN TERMS OF SUBMITTING A
23 FORMAL APPEAL, OF SUBMITTING AN EXTRAORDINARY
24 PETITION. NOW, THE PETITION POLICY, HOWEVER, IS, AS
25 YOU KNOW, RATHER VAGUE. AND SO THERE ARE NO REAL

BARRISTERS' REPORTING SERVICE

1 RULES BEHIND WHAT AN EXTRAORDINARY PETITION SHOULD
2 ENTAIL.

3 SO MY ADVICE TO APPLICANTS IS TO LOOK AT
4 THE POLICY, AND THAT IT'S REALLY UP TO YOU TO
5 DETERMINE WHAT THE CONTENT OF SUCH A PETITION WOULD
6 BE. I THINK THE SPIRIT OF THE PETITION WAS TO BRING
7 FORTH EXTRAORDINARY CIRCUMSTANCES. HOWEVER, I THINK
8 APPLICANTS ALSO HAVE OBSERVED, AND I THINK THE CASE
9 PERHAPS HERE, EXAMPLES IN OTHER PETITIONS THAT ARE
10 SUBMITTED. SO I THINK THAT IS CERTAINLY A REASON
11 WHY NEW PETITIONS MAY COME FORTH.

12 CHAIRMAN THOMAS: ANY OTHER COMMENTS FROM
13 MEMBERS OF THE BOARD?

14 MS. GIBBONS: JUST BRIEFLY PROMPTS TO
15 EVERYONE WHO'S BEEN HERE FOR HOURS WAITING TO HAVE
16 YOUR THREE MINUTES, FROM THE SCIENTISTS AND THE
17 INVESTIGATORS AND THE CORPORATE LEADERS AND THE
18 DOCTORS AND, MOST ESPECIALLY, TO THE PATIENT
19 ADVOCATES WHO WERE JUST SO ELOQUENT AND SO
20 COURAGEOUS AND SO WONDERFULLY GENEROUS AT SHARING
21 YOUR STORY, WE ALWAYS APPRECIATE THAT. AND I KNOW
22 YOU GUYS HAVE BEEN HERE A LONG TIME. SO THANK YOU.

23 (APPLAUSE.)

24 CHAIRMAN THOMAS: SEEING NO FURTHER
25 COMMENT FROM THE BOARD, I BELIEVE WE CAN, MR.

BARRISTERS' REPORTING SERVICE

1 HARRISON, MOVE TO -- YES.

2 MR. HARRISON: THERE'S A MOTION ON THE
3 TABLE WITH RESPECT TO THIS APPLICATION. I DON'T
4 BELIEVE ANY PROPRIETARY INFORMATION HAS BEEN
5 IDENTIFIED. SO AT YOUR DISCRETION, CHAIR, WE COULD
6 PROCEED WITH A VOTE ON THIS APPLICATION BEFORE --

7 CHAIRMAN THOMAS: THE NEXT COMMENT.

8 DR. PRICE: WHAT IS THIS MOTION?

9 MR. HARRISON: THE MOTION ON THE FLOOR IS
10 TO APPROVE FUNDING FOR APPLICATION 5410.

11 CHAIRMAN THOMAS: MARIA, PLEASE CALL THE
12 ROLL.

13 MS. BONNEVILLE: ROBERT PRICE.

14 DR. PRICE: NO.

15 MS. BONNEVILLE: DAVID BRENNER.

16 DR. BRENNER: NO.

17 MS. BONNEVILLE: JACOB LEVIN.

18 DR. LEVIN: NO.

19 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

20 DR. DULIEGE: NO.

21 MS. BONNEVILLE: MICHAEL FRIEDMAN.

22 DR. FRIEDMAN: NO.

23 MS. BONNEVILLE: LEEZA GIBBONS.

24 MS. GIBBONS: YES.

25 MS. BONNEVILLE: MICHAEL GOLDBERG.

BARRISTERS' REPORTING SERVICE

1 STEPHEN JUELSGAARD.
2 DR. JUELSGAARD: NO.
3 MS. BONNEVILLE: BERT LUBIN.
4 DR. LUBIN: NO.
5 MS. BONNEVILLE: MICHAEL MARLETTA. LEON
6 FINE. PHIL PIZZO. ROBERT QUINT. DUANE ROTH. JOAN
7 SAMUELSON.
8 MS. SAMUELSON: YES.
9 MS. BONNEVILLE: JONATHAN SHESTACK.
10 MR. SHESTACK: NO.
11 MS. BONNEVILLE: OS STEWARD.
12 DR. STEWARD: YES.
13 MS. BONNEVILLE: JONATHAN THOMAS.
14 CHAIRMAN THOMAS: NO.
15 MS. BONNEVILLE: ART TORRES.
16 MR. TORRES: AYE.
17 MS. BONNEVILLE: KRISTINA VUORI.
18 DR. VUORI: NO.
19 MS. BONNEVILLE: JAMES ECONOMOU.
20 CHAIRMAN THOMAS: MR. HARRISON.
21 MR. HARRISON: THE MOTION FAILS.
22 CHAIRMAN THOMAS: THANK YOU. PUBLIC
23 COMMENT? WE DO VERY MUCH APPRECIATE, AS MS.
24 GIBBONS, POINTS OUT, THANK YOU, EVERYBODY, FOR
25 COMING.

BARRISTERS' REPORTING SERVICE

1 DR. LEWICKI: WELL, THANK YOU. SO I'M
2 JOHN LEWICKI. I'M THE CHIEF SCIENTIFIC OFFICER OF
3 ONCOMED PHARMACEUTICALS, COMPANY DEVELOPING AGENTS
4 FOR CANCER BASED ON PATHWAYS THAT ARE KEY TO CANCER
5 STEM CELLS. AND I WANT TO THANK THE COMMITTEE FOR
6 GIVING ME AN OPPORTUNITY TO SPEAK. WE'RE ACTUALLY
7 AN APPLICATION THAT WAS PRESENTED AT THE LAST
8 MEETING, WENT UNDER RECONSIDERATION, RECEIVED
9 YESTERDAY WORD THAT THE RECOMMENDATION WAS NOT TO
10 FUND, BUT I WANTED TO CLARIFY SOME POINTS THAT WERE
11 RAISED BECAUSE WE THINK THERE WERE SOME REAL
12 MISUNDERSTANDINGS IN THE GRANT.

13 AND WHAT I WANT TO CONVEY IS THE REAL
14 SCIENTIFIC PASSION ON OUR BEHALF AND OUR
15 CO-PRINCIPAL INVESTIGATOR, DR. LAURA ESSERMAN, OF
16 UCSF, FOR THIS PROJECT BECAUSE WE THINK IT HAS A
17 GREAT PROBABILITY OF BEING SUCCESSFUL.

18 SO BASICALLY I'LL BE BRIEF HERE, BUT THE
19 PROPOSAL IS REALLY AIMED AT TREATING THE
20 SUBPOPULATION OF BREAST CANCER PATIENTS WHO ARE AT
21 HIGHEST RISK OF RECURRENCE. THESE ARE GENERALLY
22 PATIENTS WITH LARGE HIGH RISK PROFILE TUMORS WHO
23 WERE TREATED IN THE NEOADJUVANT SETTING PRIOR TO
24 SURGICAL RESECTION OF THEIR TUMOR. AND MANY OF
25 THESE PATIENTS FREQUENTLY HAVE RECURRENT DISEASE.

BARRISTERS' REPORTING SERVICE

1 ROUGHLY A THIRD OF THE PATIENTS TREATED IN THIS
2 SETTING HAVE RECURRENT DISEASE AND AS DESCRIBED BY
3 DR. ESSERMAN, WHEN PATIENTS RECUR, MANY OF THEM
4 PROGRESS AND HAVE RECURRENT DISEASE, METASTATIC
5 DISEASE, WITHIN A YEAR, AND ALMOST ALL OF THESE
6 PATIENTS DIE WITHIN THREE YEARS.

7 SO THAT'S REALLY THE BASIS OF THE
8 APPLICATION. AND THE TARGET WE'RE FOCUSED ON IS THE
9 TARGET CALLED NOTCH, NOTCH 1. NOTCH IS A PATHWAY
10 FUNDAMENTALLY INVOLVED IN STEM CELL BIOLOGY, AND
11 THERE'S BEEN A LOT OF LITERATURE RECENTLY
12 IDENTIFYING NOTCH 1 AS AN ONCOGENIC DRIVER; IN OTHER
13 WORDS, DRIVING TUMORS IN VARIOUS FORMS OF CANCER.
14 AND WE BELIEVE THAT ALSO APPLIES TO BREAST CANCER.

15 SO WHAT WE DID IS WE DEVELOPED AN ASSAY TO
16 DETERMINE PATIENTS THAT OVEREXPRESS NOTCH 1 WHERE IT
17 MAY BE DRIVING THEIR TUMOR. WE BASICALLY DEVELOPED
18 THIS ASSAY AND APPLIED IT TO THE POPULATION OF
19 PATIENTS WHO HAD RECURRED FOLLOWING NEOADJUVANT
20 TREATMENT. AND WE FOUND THAT ROUGHLY 30 PERCENT OF
21 THESE PATIENTS HAD ELEVATED NOTCH 1 AS OPPOSED TO A
22 ABOUT 10 PERCENT OF THE PATIENTS IN THE GENERAL
23 BREAST CANCER POPULATION. SO WE RATIONALIZE AND
24 HAVE A LOT OF PRECLINICAL DATA THAT SUPPORT OUR
25 HYPOTHESIS THAT NOTCH 1 IS REALLY DRIVING THESE

BARRISTERS' REPORTING SERVICE

1 TUMORS, AND IT'S RESPONSIBLE FOR THE RESISTANCE TO
2 CHEMOTHERAPY IN THESE PATIENTS.

3 SO WE HAVE AN ANTIBODY TO NOTCH 1. IT'S
4 AT THE IND STAGE. WE EFFECTIVELY FILED OUR IND.
5 WE'D BE POSITIONED TO TREAT PATIENTS QUICKLY, GO
6 INTO A PHASE I A SAFETY STUDY, A PHASE 1 B
7 COMBINATION STUDY WITH CHEMOTHERAPY, AND THEN WE
8 PROPOSE TWO PHASE II STUDIES. SO I'LL BE QUICK
9 HERE.

10 TWO MISCONCEPTIONS THAT I WANTED TO POINT
11 OUT IN THE GRANT. NO. 1, IT WAS HIGHLIGHTED THAT WE
12 HAVE TO SCREEN 800 PATIENTS TO IDENTIFY PATIENTS
13 THAT WERE CANDIDATES FOR THIS TRIAL, AND THAT WOULD
14 COMPROMISE TIMELINES. WE DON'T HAVE TO SCREEN
15 PATIENTS AT ALL. THE PATIENTS THAT GET ENROLLED ON
16 THIS TRIAL ARE PATIENTS WHO FAIL ON NEOADJUVANT
17 THERAPY. SO BASICALLY THERE'S NO SCREENING REQUIRED
18 WHATSOEVER UNTIL WE'VE IDENTIFIED THOSE PATIENTS, AT
19 WHICH POINT WE WOULD ONLY HAVE TO SCREEN ABOUT 120
20 TO IDENTIFY 40 PATIENTS THAT WE PROPOSE TO ENROLL IN
21 THE STUDY.

22 ANOTHER MISCONCEPTION, AND I THINK
23 SOMETHING THAT'S A MISUNDERSTANDING OR SOMETHING
24 THAT'S REALLY HELD AGAINST US IS WE'VE IDENTIFIED
25 THE NOTCH 1 ICD, THE SIGNALING MOLECULE IN THE CELL,

BARRISTERS' REPORTING SERVICE

1 IS A BIOMARKER. AND THE POINT WAS RAISED THAT ALL
2 WE HAVE IS A POLYCLONAL ANTIBODY. WE'RE IN THE
3 PROCESS OF DEVELOPING A MONOCLONAL ANTIBODY, BUT
4 DON'T HAVE IT YET. BUT THAT'S SOMETHING THAT'S VERY
5 DOABLE. OTHERS HAVE MADE SUCH ANTIBODIES. AND IT
6 COULD HAVE BEEN AN EARLY MILESTONE IN THIS PROJECT
7 HAD IT BEEN SUPPORTED FOR FUNDING.

8 SO JUST ON BEHALF OF BREAST CANCER
9 PATIENTS AND ALL OF US WHO HAVE SPOUSES, FAMILY,
10 FRIENDS WITH BREAST CANCER, I WANT TO REALLY
11 ADVOCATE FOR THIS APPLICATIONS AND HOPE THAT YOU
12 GIVE IT RECONSIDERATION. THANK YOU.

13 CHAIRMAN THOMAS: THANK YOU. ARE THERE
14 ANY OTHER MEMBERS OF THE PUBLIC WHO HAVE COMMENT?
15 YES, SIR.

16 MR. HENBERGER: THANK YOU VERY MUCH. MY
17 NAME IS JERRY HENBERGER, AND I'M THE EXECUTIVE
18 DIRECTOR ELECT WITH THE PARKINSON'S ASSOCIATION
19 BASED IN SAN DIEGO. AND YOU ALL HAVE AGREED TO PUT
20 OFF FOR CONSIDERATION DR. LIPTON'S PROPOSAL FOR YOUR
21 NEXT MEETING. I DID PROMISE TWO OF MY BOARD MEMBERS
22 THAT I WOULD READ THEIR COMMENTS TO YOU, AND I'M
23 ACTUALLY EDITING THE ONE FROM THE ATTORNEY BECAUSE
24 YOU GUYS ARE DOING THE REVIEW. AND I APPRECIATE IT
25 SO VERY MUCH, BUT I THINK THERE'S A LOT OF MERITUS

BARRISTERS' REPORTING SERVICE

1 COMMENTS IN HERE. AND THE ATTORNEY, HIS NAME IS
2 MICHAEL THORSNES, AND HE'S A BRILLIANT MAN, AND HE'S
3 BEEN AFFECTED BY PARKINSON'S. HE ACTUALLY GAVE UP
4 HIS PRACTICE TO ADVOCATE FOR PEOPLE WITH
5 PARKINSON'S. I BELIEVE HIS HEART IS SO VERY PURE IN
6 THIS.

7 SO THESE ARE HIS WORDS. I SPEAK TO YOU AS
8 A FORMER CIVIL TRIAL LAWYER FOR 34 YEARS, A
9 PARKINSON'S PATIENT FOR 12 YEARS, AND THE WINNER OF
10 THE DANIEL T. BRODERICK AWARD FOR INTEGRITY. I WAS
11 ANNUALLY LISTED AS ONE OF THE BEST LAWYERS IN
12 AMERICA, SERVED AS VICE CHAIR OF THE BOARD OF
13 TRUSTEES FOR THE UNIVERSITY OF SAN DIEGO FOR SIX
14 YEARS, AND WAS RECENTLY APPOINTED CHAIRMAN OF THE
15 EXECUTIVE ADVISORY BOARD FOR THE PARKINSON'S
16 ASSOCIATION OF SAN DIEGO.

17 I, LIKE THOUSANDS OF OTHER PATIENTS, CAN
18 NO LONGER FUNCTION IN MY PROFESSION. I'M NOT
19 SPEAKING TO YOU AS A LAWYER, BUT AS A MEMBER OF THE
20 COMMUNITY THAT YOU'VE AGREED TO PROTECT USING TAX
21 DOLLARS WISELY AND, MORE IMPORTANTLY, FAIRLY IN BOTH
22 PROCEDURE AND SUBSTANCE. THE PURPOSE FOR SPEAKING
23 IS TO ADDRESS THE PROCESSING AND EVALUATION OF THE
24 EXTRAORDINARY REVIEW OF THE APPLICATION GRANT BY
25 STUART LIPTON. THE SYNOPSIS OF THE GRANT WAS

BARRISTERS' REPORTING SERVICE

1 REVIEWED, CIRM MINUTES FROM JULY 24TH MEETING; AND
2 AFTER MEETING WITH DR. LIPTON, DISCUSSED ISSUES WITH
3 THE ICOC POLICY GOVERNING EXTRAORDINARY PETITIONS
4 WITH THE ICOC CONSIDERATION OF APPLICATIONS FOR
5 FUNDING, AND THE BYLAWS OF THE SCIENTIFIC MEDICAL
6 RESEARCH FUNDING WORKING GROUP, AND VARIOUS MEMBERS
7 UNDER THE CIRM WEBSITE.

8 THIS IS WHERE I'M EDITING. THE URGENCY OF
9 SUBMISSION, REMEDIATION, AND EXCLUSION BE ADDRESSED
10 PROMPTLY AS THIS APPLICATION WAS ONE SUBMITTED BY
11 THE PARKINSON'S DISEASE FOR THE DISEASE TEAM
12 RESEARCH AWARDS, WHICH REQUIRES SPECIFIC ACTIONS
13 WITH FOUR YEARS OF THE DATE FROM THE GRANT. DELAY
14 OF PROCESSING THIS PROJECT WOULD MEAN AS TO ONE
15 MILLION PARKINSON'S PATIENTS IN THE UNITED STATES
16 THAT THE PROMISE OF THIS PROJECT WOULD BE SHELVED.

17 WE APPRECIATE YOUR CONSIDERATION IN THAT
18 THERE ARE ONE MILLION PARKINSON'S PATIENTS IN THIS
19 COUNTRY. THEIR NEEDS ARE ENTITLED TO A COMPLETE
20 HEARING FREE FROM CONFLICT PARTICIPANTS, AND NOT
21 LIMITED IN PUBLIC RESPONSE SUBJECT TO SELECTIVE
22 EXCLUSION OF EVIDENCE.

23 AS THE EARLY TEAM DISEASE RESEARCH AWARD
24 APPLICANT DEALING -- AS THE ONLY DISEASE TEAM
25 RESEARCH APPLICATION DEALING WITH PARKINSON'S,

BARRISTERS' REPORTING SERVICE

1 TIMING AND PROPER RESOLUTION OF THE GRANT PROPOSED
2 IS ABSOLUTELY NECESSARY AS IT MUST BE REMEMBERED
3 THAT SPECIFIC MILESTONES OF THE FDA AND RELATED
4 APPROVAL PROCESS MUST BE ACCOMPLISHED WITHIN FOUR
5 YEARS. ANY FURTHER DELAY IN THAT PROCESS WILL AND
6 SHOULD BE REGARDED WITH SADNESS BY THE MILLIONS OF
7 SUFFERERS THAT WAIT IN GREAT FRUSTRATION AS THEY
8 WATCH DELAY IN TESTING STEM CELLS FOR THE TREATMENT
9 OF PARKINSON'S.

10 ON A MUCH LIGHTER NOTE, THIS IS FROM
11 CHANCELLOR MARY ANNE FOX. AS MANY OF YOU KNOW, I
12 HAVE WORKED WITH COLLEAGUES IN SAN DIEGO TO EXPLORE
13 THE POSSIBILITIES OF ADDRESSING NEUROLOGIC DISEASES
14 LIKE PARKINSON'S THROUGH THE USE OF EITHER ADULT OR
15 EMBRYONIC STEM CELLS. LIKE OTHER DISEASES THAT
16 AFFECT CONTROLLED MOTION, THERE'S NO CURE AND
17 MILLIONS OF PEOPLE AWAITING ENCOURAGEMENT THAT MIGHT
18 PROVIDE RELIEF. IN FACT, I LOOK FORWARD TO A TIME
19 WHEN TALENTED RESEARCHERS MIGHT BE ABLE TO ADDRESS
20 MY OWN CASE, THEREBY ADVOCATING THE GENERAL APPROACH
21 TO PARKINSON'S.

22 THE GOAL OF PROPOSITION 71 IS, OF COURSE,
23 TO DIRECT FUNDING SCIENTIFIC RESEARCH THAT WILL LEAD
24 TO NEW MEDICAL TREATMENTS AND CURES. TODAY'S
25 CONSIDERATION IS AN OPPORTUNITY TO REVIEW A

BARRISTERS' REPORTING SERVICE

1 TRANSFORMATIONAL RESEARCH PROGRAM THAT COULD DO JUST
2 THAT. I'VE KNOWN STUART LIPTON FOR MANY, MANY YEARS
3 AND RESPECT HIS WORK. I FURTHER APPRECIATE THE PAST
4 PARKINSON'S PROJECTS THAT THE ICOC HAS FUNDED, WHICH
5 HAS LED TO A GREATER UNDERSTANDING OF THE DISEASE.
6 STUART'S PROPOSAL MAY DELIVER ON THE ULTIMATE GOAL
7 OF PROPOSITION 71, WHICH IS TRANSLATIONAL RESEARCH
8 WHICH WILL LEAD TO A CURE IN PARKINSON'S DISEASE IN
9 PATIENTS.

10 THANK YOU FOR CONSIDERING HER OPINION AND
11 ON THE IMPORTANCE OF THIS GRANT. THANK YOU VERY
12 MUCH.

13 CHAIRMAN THOMAS: THANK YOU. ANY OTHER
14 COMMENTS BY MEMBERS OF THE PUBLIC?

15 MR. WONG: MY NAME IS ALBERT WONG. I'M
16 REPRESENTING APPLICATION 5373. I UNDERSTAND THAT
17 YOU'RE PROBABLY GOING TO BRING THIS UP TO FOR VOTE,
18 SO PERHAPS I SHOULD GIVE MY PUBLIC COMMENTS AT THAT
19 TIME.

20 CHAIRMAN THOMAS: THE PROCEDURE IS WE HAVE
21 ASKED IF THERE WERE ANY MOTIONS TO APPROVE THAT FOR
22 FUNDING. THAT WAS ONE OF THE TWO THAT SUBMITTED
23 ADDITIONAL CORRESPONDENCE.

24 DR. VUORI: I WOULD LIKE TO HEAR SCIENCE
25 OFFICER'S PRESENTATION ON THIS GRANT.

BARRISTERS' REPORTING SERVICE

1 DR. FEIGAL: I BELIEVE DR. INGRID CARAS IS
2 GOING TO COME UP AND GIVE A SUMMARY OF THAT
3 PROPOSAL.

4 CHAIRMAN THOMAS: MR. HARRISON, JUST AS A
5 MATTER OF PROCEDURE, DO WE NEED A MOTION TO HAVE THE
6 PRESENTATION?

7 MR. HARRISON: NO.

8 DR. CARAS: THIS IS APPLICATION 5373
9 ENTITLED "RECOMBINANT BISPECIFIC ANTIBODY TARGETING
10 CANCER STEM CELLS FOR THE THERAPY OF GLIOBLASTOMA."
11 SO THE GOAL OF THIS PROJECT IS TO DEVELOP A
12 BISPECIFIC ANTIBODY DESIGNED TO TARGET CANCER STEM
13 CELLS IN GLIOBLASTOMA TUMORS BY SIMULTANEOUSLY
14 BINDING TO TWO RECEPTORS, CD133 AND EGFR VARIANT III
15 COEXPRESSED ON THE SURFACE OF THE GLIOBLASTOMA
16 CANCER STEM CELLS.

17 THE PROJECT PLAN LAYS OUT TRANSLATIONAL
18 RESEARCH AND DEVELOPMENT ACTIVITIES LEADING TO AN
19 IND FILING.

20 REVIEWERS DID NOT DISPUTE THAT
21 GLIOBLASTOMA IS A DEVASTATING DISEASE WITH NO
22 EFFECTIVE TREATMENT. HOWEVER, THEY HAD SERIOUS
23 CONCERNS ABOUT THE RATIONALE, READINESS, AND
24 FEASIBILITY OF THIS PROPOSAL.

25 ON THE RATIONALE, REVIEWERS COMMENTED THAT

BARRISTERS' REPORTING SERVICE

1 IT IS NOT CLEAR THAT THIS PRODUCT WILL BE
2 SUFFICIENTLY SPECIFIC FOR CANCER CELLS AND WILL NOT
3 ALSO TARGET NORMAL CELLS EXPRESSING EITHER RECEPTOR,
4 WHICH RAISES A SIGNIFICANT SAFETY CONCERN. SO FROM
5 A SAFETY PERSPECTIVE, THEY WERE NOT CONVINCED THAT
6 THERE IS AN ADVANTAGE TO HAVING A SINGLE MOLECULE
7 TARGET TWO DISTINCT SURFACE RECEPTORS. AND THEY
8 FELT THAT THE APPLICANT MAY NOT HAVE THOUGHT THROUGH
9 ALL THE POSSIBLE SAFETY CONCERNS.

10 IN ADDITION, THE RATIONALE IS BASED ON
11 TARGETING OF CELLS THAT COEXPRESS THE TWO RECEPTORS,
12 WHICH MAY REPRESENT A SUBGROUP OF GLIOBLASTOMA STEM
13 CELLS IN A PATIENT THAT DOES NOT TAKE INTO ACCOUNT
14 TUMOR HETEROGENEITY.

15 REGARDING READINESS, THEY NOTED THAT THE
16 APPLICANT HAS NOT YET SELECTED THE FINAL DEVELOPMENT
17 CANDIDATE, WHICH WAS A PREREQUISITE FOR THIS AWARD.
18 TIMELINES DO NOT APPEAR TO BE FEASIBLE, AND THEY
19 FELT THAT THE PROJECT IS AT A STAGE THAT IS STILL
20 TOO EARLY TO BE TRYING TO FORMALLY DEVELOP THIS
21 PRODUCT FOR IND-ENABLING STUDIES.

22 THEY NOTED THAT THE PROPOSAL INCLUDES A
23 SIGNIFICANT AMOUNT OF MECHANISTIC AND CELL BIOLOGY
24 WORK WHICH MAY NOT BE NECESSARY FROM A REGULATORY
25 PERSPECTIVE AND THAT MORE EFFORT SHOULD BE FOCUSED

BARRISTERS' REPORTING SERVICE

1 ON DEFINING THE PRODUCT SPECIFICITY, SELECTIVITY,
2 PHARMACOKINETICS, AND SAFETY IN RELEVANT ANIMAL
3 MODELS.

4 FINALLY, REGARDING FEASIBILITY, A KEY
5 QUESTION CONCERNED WHETHER THE ANTIBODY WILL CROSS
6 THE BLOOD BRAIN BARRIER. GETTING ANTIBODIES ACROSS
7 THE BLOOD BRAIN BARRIER IS A NONTRIVIAL ISSUE. AND
8 SOME REVIEWERS BELIEVE THAT IT'S UNLIKELY THAT THE
9 ANTIBODY WILL EFFECTIVELY CROSS THE BLOOD BRAIN
10 BARRIER BASED ON PREVIOUS STUDIES IN THIS AREA.

11 A SERIOUS CONCERN IS WHETHER THE
12 CONCENTRATION THAT THEY'LL HAVE TO DELIVER TO A
13 PATIENT TO GET ENOUGH ACROSS THE BLOOD BRAIN BARRIER
14 WILL HAVE TOXIC EFFECTS PERIPHERALLY. IN OTHER
15 WORDS, WILL THEY BE ABLE TO ACHIEVE THERAPEUTIC
16 LEVELS WITHIN THE BRAIN AT SYSTEMIC LEVELS THAT ARE
17 NOT TOXIC. AND REVIEWERS FELT THAT IT WOULD BE
18 IMPORTANT TO CONFIRM THIS BEFORE STARTING
19 IND-ENABLING STUDIES FOR AN INTRAVENOUS ROUTE. SO
20 THOSE WERE THE MAIN CRITICISMS. I'LL BE HAPPY TO
21 ANSWER ANY QUESTIONS.

22 MR. TORRES: HOW IS THIS DISTINCT FROM OUR
23 CITY OF HOPE PROPOSAL WITH DR. ABOODY?

24 DR. FEIGAL: I CAN ANSWER THAT QUESTION.
25 THAT'S NEURAL STEM CELLS AS A DELIVERY WITH A

BARRISTERS' REPORTING SERVICE

1 PAYLOAD OF CPT 11. IT'S A VERY DIFFERENT DELIVERY,
2 AND IT'S A PAYLOAD OF A CHEMOTHERAPY AGENT.

3 CHAIRMAN THOMAS: MR. HARRISON, IS IT
4 APPROPRIATE THAT WE ASK HERE IF SOMEBODY WANTS TO
5 MAKE A MOTION? BECAUSE EITHER WAY WE WILL LET THE
6 PUBLIC COMMENT PROCEED.

7 MR. HARRISON: YES.

8 CHAIRMAN THOMAS: OKAY. SO MEMBERS OF THE
9 BOARD, DO WE HAVE A MOTION TO APPROVE FUNDING OF
10 THIS PROPOSAL? HEARING NONE, PROCEED NOW TO PUBLIC
11 COMMENT.

12 MR. WONG: SO MY NAME IS ALBERT WONG. I'M
13 THE PRINCIPAL INVESTIGATOR ON THE GRANT. I'M A
14 PROFESSOR AT STANFORD UNIVERSITY. I DID HAVE A
15 SERIES OF PREPARED COMMENTS TO ADDRESS MY PROPOSAL.
16 I GUESS I'LL THROW A LOT OF THAT OUT IN LIGHT OF
17 THIS VIEW.

18 THE FIRST THING I'D LIKE TO POINT OUT IS
19 THAT GLIOBLASTOMA IS A DEVASTATING DISEASE. IT ROBS
20 PEOPLE OF THEIR PERSONALITY AND IMMEDIATELY LEADS TO
21 A DIMINISHED QUALITY OF LIFE. BUT I REMAIN
22 OPTIMISTIC THAT WE CAN CURE THIS DISEASE IN OUR
23 LIFETIME. AND THE REASON THAT I'M SO OPTIMISTIC IS
24 BECAUSE OF THE CANCER STEM CELL THEORY.

25 CANCER STEM CELLS, AS YOU PROBABLY ARE

BARRISTERS' REPORTING SERVICE

1 AWARE, ARE SORT OF LIKE THE UNDERWORLD OF STEM
2 CELLS. THEY ARE THE ONES THAT GIVE RISE TO THE
3 CANCER, AND THEY ARE THE ONES THAT RESULT IN THE
4 CANCER GROWING. BUT JUST LIKE NORMAL STEM CELLS, IF
5 YOU GET RID OF THOSE CANCER STEM CELLS, YOU PREVENT
6 A TUMOR FROM GROWING. IT'S JUST LIKE KILLING THE
7 ROOT OF A TREE. IF YOU KILL THE ROOTS, YOU STOP THE
8 TREE FROM GROWING.

9 NOW, THE REASON I'M SO OPTIMISTIC ABOUT
10 OUR TARGET IS THAT PERHAPS IT'S ONE OF THE FIRST
11 CANCER-SPECIFIC CANCER STEM CELL TARGETS AVAILABLE.
12 AND THE REASON I'M SO OPTIMISTIC IS AS A
13 POSTDOCTORAL FELLOW, I HELPED DISCOVER A MOLECULE
14 CALLED EGF RECEPTOR VARIANT III OR EGFRVIII AND. WE
15 DEVELOPED A PEPTIDE VACCINE AGAINST THIS. THIS HAS
16 NOW GONE THROUGH FOUR CLINICAL TRIALS, INCLUDING NOW
17 A MULTINATIONAL PHASE III TRIAL. IT IS THE ONE
18 BIOLOGIC THERAPEUTIC THAT HAS GONE FOR THIS LONG IN
19 GLIOBLASTOMA THERAPY.

20 A PARADOX, THOUGH, IS ONLY 10 PERCENT OF
21 THE CELLS EXPRESS EGFRVIII. SO HOW CAN A VACCINE BE
22 SO EFFECTIVE WHEN IT'S ONLY ADDRESSING 10 PERCENT OF
23 THE CELLS? WE DID EXPERIMENTS AND SHOWED THAT
24 EGFRVIII IS PRESENT IN THE CANCER STEM CELLS. AND
25 THIS IS WHEN I BECAME REALLY EXCITED BECAUSE EVERY

BARRISTERS' REPORTING SERVICE

1 TIME WE ASKED THE QUESTION ABOUT THESE EGFRVIII
2 POSITIVE CELLS, THEY LOOKED LIKE NORMAL STEM CELLS
3 EXCEPT THEY WERE CANCEROUS.

4 AND SO THIS PROVIDED AN EXPLANATION FOR
5 WHY THE PEPTIDE VACCINE WAS WORKING, WHY WHEN WE'RE
6 ONLY KILLING 10 PERCENT OF THE CELLS, WE CAN
7 ACTUALLY GET RID OF TUMORS IN PATIENTS. SO WE CAME
8 UP WITH YET ANOTHER THERAPEUTIC BECAUSE VACCINES
9 RELY ON AN ACTIVE IMMUNE SYSTEM. THE PATIENT HAS TO
10 HAVE A GOOD IMMUNE SYSTEM IN ORDER FOR THIS DRUG TO
11 WORK, PLUS PATIENTS HAVE TO WAIT TWO MONTHS PRIOR TO
12 ANY THERAPY IN ORDER TO RECEIVE THE VACCINE.

13 SO AN ANTIBODY IS A PASSIVE IMMUNE
14 THERAPY. YOU CAN GIVE IT TO A PATIENT IMMEDIATELY.
15 AND SO WE ALSO WANTED TO SPECIFICALLY ADDRESS THE
16 CANCER STEM CELL POPULATION. AND SO WE DEvised A
17 MOLECULE THAT NOT ONLY RECOGNIZES THE EGFRVIII
18 POSITIVE CELLS, BUT ALSO PROVIDES FURTHER
19 SPECIFICITY USING THE MOLECULE CD133, WHICH IS
20 PRESENT ON NORMAL NEURAL STEM CELLS.

21 DOING THAT, AND THIS IS WHERE I NEED
22 TO -- THE REASON OUR MOLECULE, I THINK, IS UNIQUE
23 AND WAS OVERLOOKED BY THIS COMMITTEE IS WE ARE NOW
24 SIGNIFICANTLY REDUCING THE AMOUNT OF ANTIBODY
25 NECESSARY IN ORDER TO TREAT A PATIENT. AND LET ME

BARRISTERS' REPORTING SERVICE

1 JUST SAY THAT ALL OF THE SCIENTIFIC COMMENTS
2 PRESENTED HAVE BEEN ADDRESSED IN THE LETTER THAT I
3 SENT TO THE COMMITTEE AS WELL AS A REBUTTAL LETTER
4 THAT I SENT TO THE CIRM STAFF.

5 PART OF THE REASON THAT I'M HERE TODAY SO
6 LATE IN THE PROCESS IS THAT WE WERE PURSUING ANOTHER
7 APPEAL PROCESS WITH CIRM VIA THE CONFLICT OF
8 INTEREST POLICY, BUT IT APPEARS REALLY THAT WE
9 SHOULD HAVE APPEALED TO CIRM BASED ON THIS
10 EXTRAORDINARY PETITION SEVERAL MONTHS AGO.

11 LET ME ALSO FINALLY MENTION THAT THIS IS
12 NOT THE FIRST TIME CIRM HAS EXAMINED THIS PARTICULAR
13 MOLECULE. WE ACTUALLY SUBMITTED THIS AS AN ET III
14 APPLICATION IN THIS MOST RECENT ROUND. IT ACTUALLY
15 SCORED EXTREMELY WELL THERE. THE APPLICATION JUST
16 ABOVE OURS AND SEVERAL BELOW OURS WERE ACTUALLY
17 SELECTED FOR FUNDING. SO WE FEEL THAT THERE WAS
18 CONSIDERABLE MERIT TO OUR APPROACH THAT WAS
19 OVERLOOKED IN THIS PARTICULAR REVIEW. AND REALLY MY
20 APPEARANCE HERE TODAY IS TO POINT OUT THE
21 DEFICIENCIES IN THAT REVIEW. I'M NOT GOING TO GET
22 INTO IT BECAUSE I'M PRESSED FOR TIME. BUT ALSO,
23 IT'S WELL DOCUMENTED IN OUR LETTER TO CIRM BEFORE
24 THE PANEL AS WELL AS IN ANOTHER LETTER TO CIRM.

25 SO CLEARLY I'M DISAPPOINTED IN THE REVIEW,

BARRISTERS' REPORTING SERVICE

1 BUT THERE'S CERTAINLY A LOT OF DATA OUT THERE THAT
2 WILL REFUTE THE NEGATIVE REVIEW THAT WE RECEIVED
3 FROM THE GWG. THANK YOU FOR YOUR TIME.

4 CHAIRMAN THOMAS: THANK YOU, DOCTOR. ARE
5 THERE ANY PROJECTS IN TIER III THAT ANY MEMBER OF
6 THE BOARD WOULD LIKE TO MOVE UP TO TIER I FOR
7 FUNDING? HEARING NONE, MR. HARRISON, COULD YOU WALK
8 US THROUGH -- I GUESS WE WILL NOW GO INTO CLOSED
9 SESSION; IS THAT CORRECT?

10 MS. SAMUELSON: MR. CHAIRMAN, I'D LIKE TO
11 JUST ASK WHEN IS THE APPROPRIATE TIME TO DO WHATEVER
12 IS NECESSARY TO PROTECT THE PARKINSON'S DISEASE TEAM
13 GRANT SO THAT IT DOESN'T FALL INTO SOME PROCEDURAL
14 HOLE?

15 CHAIRMAN THOMAS: I HAVE PULLED IT OUT OF
16 TIER III AND TABLED IT FOR THE NEXT MEETING.

17 MS. SAMUELSON: THANK YOU VERY MUCH.

18 CHAIRMAN THOMAS: MR. HARRISON.

19 MR. HARRISON: THE BOARD WILL NOW CONVENE
20 IN CLOSED SESSION TO CONSIDER PROPRIETARY
21 INFORMATION RELATING TO THE DISEASE TEAM THERAPY
22 DEVELOPMENT AWARD APPLICATIONS PURSUANT TO HEALTH
23 AND SAFETY CODE SECTION 125290.30(F)(3)(B) AND (C).

24 CHAIRMAN THOMAS: LOGISTICALLY IS THAT
25 BACK IN THE ROOM TO THE RIGHT WHERE WE GOT THE

BARRISTERS' REPORTING SERVICE

1 DINNER? THANK YOU.

2 MR. SHEEHY: JUST COULD WE FIND OUT WHICH
3 APPLICATIONS WE'RE CONSIDERING?

4 CHAIRMAN THOMAS: HOLD ON PLEASE. HOLD
5 ON. MR. HARRISON, WOULD YOU LIKE TO.

6 MR. SHEEHY: MAYBE WE SHOULD CLARIFY THAT.

7 MR. HARRISON: THE BOARD WILL JUST BE
8 CONSIDERING PROPRIETARY INFORMATION WITH RESPECT TO
9 ONE APPLICATION, AND THAT'S APPLICATION 5416.

10 MR. SHEEHY: GREAT. SO THOSE IN CONFLICT
11 SHOULD NOT GO ACROSS THE HALL.

12 MR. HARRISON: CORRECT.

13 (THE BOARD THEN WENT INTO CLOSED
14 SESSION, NOT REPORTED NOR HEREIN TRANSCRIBED. THE
15 FOLLOWING WAS THEN HEARD IN OPEN SESSION:)

16 CHAIRMAN THOMAS: IF EVERYBODY COULD
17 PLEASE TAKE THEIR SEATS.

18 MR. HARRISON: WE HAVE A MOTION THAT IS ON
19 THE TABLE TO APPROVE FUNDING FOR APPLICATION 5416.
20 THE MEMBERS WHO ARE RETURNING ARE IN CONFLICT WITH
21 RESPECT TO THAT APPLICATION. SO PERHAPS WE CAN
22 BEGIN.

23 CHAIRMAN THOMAS: OKAY. SO WE'VE HAD OUR
24 CLOSED SESSION. LET'S REOPEN THIS TOPIC FOR
25 DISCUSSION. WHO WOULD LIKE TO START?

BARRISTERS' REPORTING SERVICE

1 MR. TORRES: I WOULD LIKE THE CHAIR TO
2 START WITH HIS PROPOSAL.

3 DR. PRICE: IS THERE A MOTION ON THE
4 TABLE?

5 CHAIRMAN THOMAS: THERE IS A MOTION ON THE
6 TABLE AND IT HAS BEEN DISCUSSED. THERE HAVE BEEN
7 CONCERNS EXPRESSED ABOUT THE FACT THAT THIS WOULD BE
8 THE SECOND AWARD GOING TO THE SAME COMPANY, THAT IT
9 REQUIRES MATCHING FUNDING THAT THEY'VE SAID THEY
10 WILL PUT UP. BUT BECAUSE CIRM WOULD ACCOUNT FOR
11 SUCH A LARGE PART OF THE ASSETS OF THIS COMPANY,
12 WITH RESPECT TO THIS PARTICULAR AWARD, WE FEEL THAT
13 WE WOULD LIKE, AT LEAST I WOULD LIKE TO PROPOSE THAT
14 WE ENTERTAIN A REQUIREMENT THAT THE COMPANY SHOW THE
15 MATCHING FUNDS IN ORDER TO GET ACCESS TO FUNDING
16 THAT WE WOULD GIVE THEM VIA THIS AWARD.

17 MR. TORRES: I SECOND THAT MOTION.

18 CHAIRMAN THOMAS: IT'S NOT REALLY A
19 MOTION. THAT'S ME DESCRIBING IT.

20 MR. TORRES: I MOVE YOUR AMENDMENT TO THE
21 MAIN MOTION.

22 CHAIRMAN THOMAS: SO MR. HARRISON IS
23 WAVING FRANTICALLY.

24 MR. HARRISON: SO THERE IS A MOTION ON THE
25 TABLE. SO IF YOU WOULD LIKE TO OFFER A FRIENDLY

BARRISTERS' REPORTING SERVICE

1 AMENDMENT TO THE --

2 MS. GIBBONS: I ACCEPT.

3 CHAIRMAN THOMAS: AND, MR. SENATOR, I
4 BELIEVE YOU WERE THE SECOND ORIGINALLY WAY BACK
5 WHEN. DO YOU ACCEPT THE FRIENDLY AS WELL?

6 MR. TORRES: I'M VERY FRIENDLY WITH LEEZA
7 ON THIS ISSUE, AND WE SUPPORT EACH OTHER ON THIS
8 ISSUE.

9 CHAIRMAN THOMAS: OKAY. SO FURTHER
10 DISCUSSION? THERE WERE A NUMBER OF ISSUES BROUGHT
11 UP IN EARLIER DISCUSSION. WOULD LIKE TO GET
12 MEMBERS' VIEW ON ANYTHING THEY'D LIKE TO DISCUSS.
13 MR. SHESTACK, YOU LOOK LIKE YOU'RE ABOUT TO COMMENT.

14 MR. SHESTACK: I WAS JUST TRYING TO
15 UNDERSTAND WHAT MAYBE STAFF'S POINT OF VIEW WAS
16 PHILOSOPHICALLY ABOUT THE FACT THAT THIS IS
17 ESSENTIALLY THE SAME CELL LINE THAT WE WOULD BE
18 PUTTING \$40 MILLION INTO. SO IF WE FUNDED THIS, WE
19 WOULD BE PUTTING ABOUT THAT MUCH INTO IT. WE'RE
20 ALREADY -- SO I DON'T KNOW. IT JUST SEEMS LIKE I
21 WISH SOMEBODY WOULD EXPLAIN HOW IS THAT TYPICAL, A
22 HUNDRED PERCENT KOSHER, A LITTLE BIT OF A GRAB.
23 EXPLAIN IT TO ME BECAUSE I JUST DON'T UNDERSTAND IT.

24 DR. FEIGAL: WE EVALUATE PROPOSALS BASED
25 ON THE SCIENCE OF EACH INDIVIDUAL PROPOSAL. I THINK

BARRISTERS' REPORTING SERVICE

1 YOU'VE HEARD WHAT SOME OF THE OTHER PROPRIETARY
2 ISSUES MIGHT BE REGARDING THIS. YOU'VE HEARD THE
3 CONCERNS. YOU'VE ALREADY HEARD THE SCIENTIFIC
4 ISSUES THAT WERE ALREADY RAISED BY THE SCIENTIFIC
5 STAFF IN TERMS OF THE EXTENT OF EVIDENCE TO GO INTO
6 THIS DISEASE.

7 SO I DON'T THINK I NEED TO REITERATE THAT
8 AGAIN. I THINK YOU'VE HEARD THE POINT OF VIEW OF
9 THE SCIENTIFIC STAFF AND FROM THE GRANT REVIEW
10 GROUP.

11 CHAIRMAN THOMAS: OKAY. I'M NOT SURE THAT
12 ANSWERED HIS QUESTION.

13 DR. FEIGAL: RIGHT NOW WE DON'T -- RIGHT
14 NOW IN FUTURE INITIATIVES, WE'RE NOT GOING TO ALLOW
15 COMPANIES TO APPLY FOR MORE THAN ONE PROJECT IN THE
16 SAME INITIATIVE. JUST BECAUSE WE KNOW THE DEMAND IS
17 GREAT, AND WE WANT THEM TO PUT THEIR BEST FOOT
18 FORWARD. AT LEAST THAT'S WHAT WE'RE GOING TO
19 PROPOSE TAKE PLACE FOR FUTURE INITIATIVES.

20 CHAIRMAN THOMAS: WITH RESPECT TO MR.
21 SHESTACK'S QUESTION SPECIFICALLY, THERE'S NOTHING
22 SCIENTIFICALLY THAT PRECLUDES THE USE OF THE SAME
23 CELL LINE FOR TWO DIFFERENT APPLICATIONS.

24 DR. FEIGAL: NO. IF THAT WAS YOUR
25 QUESTION, NO. IF THERE IS EVIDENCE TO SUPPORT USING

BARRISTERS' REPORTING SERVICE

1 THAT CELL LINE IN THE DISEASE, THAT'S WHAT WE'RE
2 LOOKING AT. IN AND OF ITSELF, USING THE SAME CELL
3 LINE FOR OTHER INDICATIONS IS NOT SOMETHING UNUSUAL.
4 SO IT'S REALLY THE EVIDENCE TO SUPPORT IT.

5 MS. GIBBONS: JUST A COMMENT ABOUT
6 SOMETHING DR. FEIGAL JUST SAID REGARDING LIMITING
7 COMPANIES AND ROUNDS OF THEIR ABILITY TO WRITE
8 APPLICATIONS FOR PARTICULAR PROPOSALS. BUT WE HAD
9 TALKED EARLIER ABOUT -- I THINK YOU HAD TALKED ABOUT
10 ECONOMIC EFFICIENCY. AND WOULD THERE NOT BE SOME
11 ARGUMENT THAT HAVING MULTIPLE APPLICATIONS IN THE
12 SAME REALM WITH THE SAME LINE, WOULD THAT NOT ALLOW
13 FOR AND OFFER ECONOMIC POTENTIAL, ECONOMIC
14 EFFICIENCY?

15 DR. FEIGAL: LET ME REPHRASE IT. WE WOULD
16 LOOK FOR REDUNDANCY IN MANUFACTURING OF THE CELL
17 LINE AND SOME OF THE STUDIES. SO THERE MAY BE COSTS
18 THAT AS A STANDALONE THEY'VE ASKED FOR, BUT PERHAPS
19 THERE'S REDUNDANCIES THAT WE WOULD BE LOOKING FOR.

20 CHAIRMAN THOMAS: WE HAVE A COMMENT WHICH
21 I ASSUME IS DIRECTLY AIMED AT A QUESTION OR TOPIC
22 UNDER DISCUSSION. SIR, PLEASE PROCEED.

23 MR. MC GLYNN: THANK YOU. MARTIN MCGLYNN,
24 PRESIDENT AND CEO OF STEM CELLS, INC. I JUST WANT
25 TO CLARIFY A COUPLE OF THINGS. FIRST OF ALL, WE

BARRISTERS' REPORTING SERVICE

1 WILL NOT BE USING THE SAME CELL LINES FOR THIS FIELD
2 OF ENDEAVOR. WE WILL BE USING THE SAME PHENOTYPE.
3 BUT WE HAVE MULTIPLE CELL LINES, MULTIPLE CELL BANKS
4 THAT ARE DEDICATED TO DIFFERENT PROGRAMS AND
5 DIFFERENT PROJECTS. SO I JUST WANTED TO MAKE THAT
6 CLEAR.

7 SECONDLY, WITH REGARD TO ECONOMIES OF
8 SCALE, YES, WE HAVE RECEIVED A DISEASE TEAM AWARD TO
9 SUPPORT OUR CERVICAL SPINAL CORD INJURY PROGRAM, AND
10 WE HAVE APPLIED FOR DISEASE TEAM FUNDING FOR THIS
11 ALZHEIMER'S PROJECT ON THE BASIS THAT THESE ARE TWO
12 STANDALONE PROJECTS.

13 IN THE EVENT THAT THE COMPANY FINDS ITSELF
14 THE RECIPIENT OF AN AWARD TO FUND THE ALZHEIMER'S
15 PROGRAM, THERE WOULD BE ECONOMIES OF SCALE THAT
16 WOULD COME INTO PLAY IN THE CONDUCT OF BOTH IN TERMS
17 OF CORPORATE RESOURCES, CORPORATE OVERHEAD, AND
18 MANUFACTURING QUALITY CONTROL, ETC. AND THOSE
19 ECONOMIES OF SCALE, I WOULD PRESUME, WOULD BE
20 ADDRESSED IN THE NEXT STEP OF INTERACTIONS WITH CIRM
21 STAFF WHERE THE BUDGETS WOULD BE REVIEWED IN GREAT
22 DETAIL BEFORE ANY FUNDING WENT OUT THE DOOR.

23 CHAIRMAN THOMAS: THANK YOU. ADDITIONAL
24 COMMENTS?

25 DR. POMEROY: SO THIS IS A QUESTION, I

BARRISTERS' REPORTING SERVICE

1 THINK, FOR DR. FEIGAL. THIS PROCESS WAS REALLY
2 ABOUT REVIEWING NEW INFORMATION. SO I JUST WANT TO
3 UNDERSTAND WHAT THE NEW INFORMATION REALLY IS
4 BECAUSE THE PETITION TALKED ABOUT A MANUSCRIPT WHICH
5 WE NOW UNDERSTAND WILL REQUIRE MAJOR REVISIONS
6 BEFORE IT WOULD BE CONSIDERED FOR ACCEPTANCE.

7 SO BEYOND THAT, IS THERE NEW INFORMATION
8 FOR US TO CONSIDER?

9 DR. FEIGAL: NO. THE NEW INFORMATION WAS
10 SUPPOSED TO BE ABOUT MIGRATION OF THE HUMAN CELLS
11 THAT WERE BEING GIVEN FOR DELIVERY IN ALZHEIMER'S
12 DISEASE. THE MANUSCRIPT WAS REFERRED TO AT THE JULY
13 ICOC WAS THE ONE I DESCRIBED, WHICH IS A
14 DIFFERENT -- THEY WERE MOUSE CELLS, AND IT WAS A
15 DIFFERENT ISSUE.

16 IN ADDITION, THEY SUBMITTED OTHER
17 CONFIDENTIAL INFORMATION WHICH WE ALLUDED TO IN
18 TERMS OF OTHER DISEASE STATES IN DIFFERENT ANATOMIC
19 SITES OF DELIVERY.

20 DR. POMEROY: THANK YOU.

21 CHAIRMAN THOMAS: DEAN PULIAFITO.

22 DR. PULIAFITO: SOUNDS LIKE AN INTERESTING
23 PROJECT, BUT THE INITIAL REVIEW SHOWED GREAT
24 VARIATION. SOME PEOPLE LOVED IT, SOME PEOPLE DIDN'T
25 LIKE IT. WE ASKED FOR RE-REVIEW AND THE RE-REVIEW

BARRISTERS' REPORTING SERVICE

1 WAS NOT TO FUND. SO...

2 CHAIRMAN THOMAS: ADDITIONAL COMMENTS? I
3 WOULD JUST LIKE TO GO BACK TO ONE THING WITH RESPECT
4 TO THE SCIENCE HERE, WHICH IS THERE'S DEBATE ABOUT
5 WHETHER THERE'S MIGRATION OR NOT. IF YOU LISTENED
6 TO THE REVIEW, YOU WOULD HAVE HAD SOME DIFFERENCES
7 OF OPINION ON THAT TOPIC. VERY DIFFICULT FOR US TO
8 SIT HERE AND EVALUATE. HOWEVER, HAVING SAID THAT,
9 WITH RESPECT TO PRECLINICAL RESULTS FROM LOCALIZED
10 INJECTION INTO THE HIPPOCAMPUS SPECIFICALLY
11 DEMONSTRATING REAL PRECLINICAL RESULTS WITH RESPECT
12 TO RESTORATION OF MEMORY, I NEVER HEARD THAT
13 REFUTED. AND TO THE EXTENT, YES, IT'S IN MICE, NO
14 QUESTION ABOUT IT. WOULD IT TRANSLATE INTO HUMANS?
15 WE DON'T KNOW. BUT THAT'S SORT OF WHAT THE NEXT
16 STEP, TO ME, WOULD LOGICALLY BE WITH RESPECT TO THAT
17 POSITIVE DATA FOR THAT PARTICULAR PROCEDURE.

18 SO TO ME IF THAT WERE TO PAN OUT, THAT
19 WOULD BE HUGE. AND I THINK THAT THAT'S SOMETHING
20 THAT WE OUGHT TO TAKE INTO CONSIDERATION.

21 OTHER COMMENTS? CALL FOR THE QUESTION.
22 MARIA, PLEASE CALL THE ROLL. REPEAT THE MOTION.

23 MR. HARRISON: AS I UNDERSTAND IT, THE
24 MOTION IS TO APPROVE FUNDING FOR APPLICATION 5416
25 SUBJECT TO THE REQUIREMENT THAT THE COMPANY

BARRISTERS' REPORTING SERVICE

1 DEMONSTRATE THAT IT HAS ACCESS TO THE MATCHING FUNDS
2 NECESSARY TO COMPLETE THE PROJECT.

3 CHAIRMAN THOMAS: CORRECT. UNTIL SUCH
4 TIME AS THEY DEMONSTRATE THAT, THEN THEY DO NOT HAVE
5 ACCESS TO THE FUNDING. MARIA, PLEASE CALL THE ROLL.

6 MS. BONNEVILLE: ROBERT PRICE.

7 DR. PRICE: NO.

8 MS. BONNEVILLE: MICHAEL FRIEDMAN.

9 DR. FRIEDMAN: NO.

10 MS. BONNEVILLE: LEEZA GIBBONS.

11 MS. GIBBONS: YES.

12 MS. BONNEVILLE: STEPHEN JUELSGAARD.

13 DR. JUELSGAARD: YES.

14 MS. BONNEVILLE: BERT LUBIN.

15 DR. LUBIN: NO.

16 MS. BONNEVILLE: CLAIRE POMEROY.

17 DR. POMEROY: NO.

18 MS. BONNEVILLE: FRANCISCO PRIETO.

19 DR. PRIETO: AYE.

20 MS. BONNEVILLE: CARMEN PULIAFITO.

21 DR. PULIAFITO: NO.

22 MS. BONNEVILLE: JOAN SAMUELSON.

23 MS. SAMUELSON: YES.

24 MS. BONNEVILLE: JONATHAN SHESTACK.

25 MR. SHESTACK: ABSTAIN.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: JONATHAN THOMAS.

2 CHAIRMAN THOMAS: YES.

3 MS. BONNEVILLE: ART TORRES.

4 MR. TORRES: AYE.

5 MS. BONNEVILLE: KRISTINA VUORI.

6 DR. VUORI: YES.

7 MR. HARRISON: THE MOTION CARRIES SEVEN TO
8 FIVE.

9 CHAIRMAN THOMAS: THANK YOU, MR. HARRISON.

10 MEMBERS OF THE BOARD, I BELIEVE THAT --

11 OH, NO, THE TIER III. SO LET'S NOT FORGET THEM.

12 MR. HARRISON, WHAT IS THE APPROPRIATE NEXT MOTION
13 HERE?

14 MR. HARRISON: THE NEXT STEP WOULD BE FOR
15 A DISINTERESTED MEMBER, THAT IS, A MEMBER WHO DOES
16 NOT HAVE A CONFLICT WITH RESPECT TO ANY OF THE
17 APPLICATIONS THAT REMAIN IN TIER III, TO MAKE A
18 MOTION TO CLOSE FUNDING FOR TIER III.

19 MR. TORRES: SO MOVED.

20 MR. JUELSGAARD: SECOND THAT MOTION.

21 CHAIRMAN THOMAS: MR. JUELSGAARD. IT'S
22 BEEN MOVED AND SECONDED. ANY DISCUSSION? PUBLIC
23 COMMENT? HEARING NONE, MARIA, CALL THE ROLL.

24 MR. HARRISON: JUST A REMINDER FOR MEMBERS
25 WHO DO HAVE A CONFLICT WITH RESPECT TO APPLICATIONS

BARRISTERS' REPORTING SERVICE

1 IN TIER III, PLEASE VOTE YES OR NO EXCEPT WITH
2 RESPECT TO THOSE APPLICATIONS FOR WHICH YOU HAVE A
3 CONFLICT.

4 YOU SHOULD ALL HAVE A SHEET IN FRONT OF
5 YOU THAT IDENTIFIES THE APPLICATIONS IN WHICH YOU
6 HAVE A CONFLICT.

7 MS. BONNEVILLE: ROBERT PRICE.

8 DR. PRICE: YES, EXCEPT FOR THOSE WITH
9 WHICH I HAVE A CONFLICT.

10 MS. BONNEVILLE: DAVID BRENNER.

11 DR. BRENNER: YES, EXCEPT FOR THOSE WITH
12 WHICH I HAVE A CONFLICT.

13 MS. BONNEVILLE: JACOB LEVIN.

14 DR. LEVIN: YES, EXCEPT FOR THOSE WITH
15 WHICH I HAVE A CONFLICT.

16 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

17 DR. DULIEGE: YES, EXCEPT FOR THOSE WITH
18 WHICH I HAVE A CONFLICT.

19 MS. BONNEVILLE: MARCY FEIT. MICHAEL
20 FRIEDMAN.

21 DR. FRIEDMAN: YES, EXCEPT FOR THOSE WITH
22 WHICH I HAVE A CONFLICT.

23 MS. BONNEVILLE: LEEZA GIBBONS.

24 MS. GIBBONS: YES.

25 MS. BONNEVILLE: MICHAEL GOLDBERG. SAM

BARRISTERS' REPORTING SERVICE

1 HAWGOOD.

2 DR. HAWGOOD: YES, EXCEPT FOR THOSE WITH
3 WHICH I HAVE A CONFLICT.

4 MS. BONNEVILLE: STEPHEN JUELSGAARD.

5 DR. JUELSGAARD: YES.

6 MS. BONNEVILLE: SHERRY LANSING. BERT
7 LUBIN.

8 DR. LUBIN: YES.

9 MS. BONNEVILLE: MICHAEL MARLETTA. LEON
10 FINE. PHIL PIZZO. CLAIRE POMEROY.

11 CLAIRE POMEROY.

12 DR. POMEROY: YES, EXCEPT FOR THOSE WITH
13 WHICH I HAVE A CONFLICT.

14 MS. BONNEVILLE: FRANCISCO PRIETO.

15 DR. PRIETO: YES, EXCEPT FOR THOSE WITH
16 WHICH I HAVE A CONFLICT.

17 MS. BONNEVILLE: CARMEN PULIAFITO.

18 DR. PULIAFITO: YES, EXCEPT FOR THOSE WITH
19 WHICH I HAVE A CONFLICT.

20 MS. BONNEVILLE: ROBERT QUINT. DUANE
21 ROTH. JOAN SAMUELSON.

22 MS. SAMUELSON: YES.

23 MS. BONNEVILLE: JEFF SHEEHY.

24 MR. SHEEHY: YES, EXCEPT FOR THOSE WITH
25 WHICH I HAVE A CONFLICT.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: JONATHAN SHESTACK.

2 MR. SHESTACK: YES.

3 MS. BONNEVILLE: OSWALD STEWARD.

4 DR. STEWARD: YES, EXCEPT FOR THOSE WITH
5 WHICH I HAVE A CONFLICT.

6 MS. BONNEVILLE: JONATHAN THOMAS.

7 CHAIRMAN THOMAS: YES.

8 MS. BONNEVILLE: ART TORRES.

9 MR. TORRES: AYE.

10 MS. BONNEVILLE: KRISTINA VUORI.

11 DR. VUORI: YES, EXCEPT FOR THOSE WITH
12 WHICH I HAVE A CONFLICT.

13 MS. BONNEVILLE: JAMES ECONOMOU.

14 CHAIRMAN THOMAS: MR. HARRISON, NOW DO WE
15 NEED SOME SORT OF OMNIBUS WRAP-UP WE APPROVE OF
16 EVERYTHING, OR IS THAT IT?

17 MR. HARRISON: WE ARE OFFICIALLY DONE WITH
18 THE DISEASE TEAM THERAPY DEVELOPMENT AWARD
19 APPLICATIONS.

20 (APPLAUSE.)

21 DR. POMEROY: CAN I JUST ASK HOW MUCH
22 MONEY WE ENDED UP ALLOCATING IN THE END FOR THE
23 DISEASE TEAM GRANTS?

24 MS. SAMUELSON: WE AREN'T QUITE DONE. WE
25 HAVE ONE MORE NEXT TIME.

BARRISTERS' REPORTING SERVICE

1 DR. POMEROY: TO THIS POINT, AND DR.
2 BRENNER KNOWS THE ANSWER TO THIS, SO WHAT IS IT?

3 DR. SAMBRANO: IT'S 214 MILLION.

4 DR. POMEROY: 214.

5 MS. SAMUELSON: AND THE BUDGETED AMOUNT
6 WAS 240. AND IT SEEMED IT WAS LOWER PROPORTIONATELY
7 IN SOME REGARD OR OTHER. I CAN'T DOCUMENT IT NOW,
8 SO I'LL JUST STOP. FOR SUCH AN IMPORTANT CATEGORY
9 IN OUR PORTFOLIO, IF THERE'S ANY QUESTION OF SLOWING
10 DOWN THE BASE OF THESE GRANTS GETTING INTO THE
11 PIPELINE AND MOVING FAST, I THINK WE SHOULD MAKE
12 SURE WE HAVE ENOUGH MONEY TO DO IT, WE REALLOCATE
13 WHERE WE NEED TO.

14 CHAIRMAN THOMAS: OKAY. MEMBERS OF THE
15 BOARD, IF YOU WOULD JUST BEAR WITH ME A FEW MORE
16 MINUTES, I'M VERY CONCERNED THAT IF WE PUT TOO MUCH
17 OVER TO TOMORROW, WE'RE GOING TO END UP LOSING
18 QUORUM AND RUNNING INTO ALL SORTS OF PROBLEMS. SO
19 SINCE WE'RE ALL HERE AT THE MOMENT, I'D LIKE TO PUSH
20 THROUGH A FEW MORE ITEMS.

21 FIRST OF ALL, DO I HEAR ON ITEM 12, AN
22 ITEM THAT SHOULD BE REQUIRE LESS THAN NO DISCUSSION,
23 DO I HAVE A MOTION TO APPROVE THE MINUTES?

24 MR. TORRES: SO MOVED.

25 MS. SAMUELSON: SECOND.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: MOTIONS RIGHT AND LEFT.
2 ALL THOSE IN FAVOR PLEASE SAY AYE. OPPOSED?
3 ABSTENTIONS?

4 MARCY, YOU STILL ON THE PHONE?

5 I'D LIKE TO PROCEED TO ITEM 15, THE
6 CONSIDERATION OF THE PROPOSED AMENDMENT TO THE IP
7 REGS.

8 MS. BONNEVILLE: J.T., CAN WE JUST FIND
9 OUT WHO WAS THE FIRST AND SECOND ON THAT MOTION?

10 CHAIRMAN THOMAS: EVERYBODY IN THE ROOM
11 INCLUDING THE AUDIENCE. WHO MOVED?

12 MR. SHEEHY: I DID.

13 MS. SAMUELSON: I SECONDED.

14 CHAIRMAN THOMAS: SO ITEM 15, APPROVAL OF
15 THE IP REGS. ELONA.

16 MS. BAUM: GREAT. THANK YOU VERY MUCH FOR
17 CONSIDERING THIS MATTER. THIS IS AN ITEM THAT
18 ACTUALLY APPEARED ON THE AGENDA LAST MONTH, AND WE
19 DIDN'T HAVE TIME TO ADDRESS IT. SO I APPRECIATE THE
20 EXTRA EFFORT TO DO SO NOW. I THINK IT'S VERY
21 IMPORTANT IN A NUMBER OF RESPECTS, IN PARTICULAR TO
22 SUPPORT THE STRATEGIC PARTNERSHIP FUNDING PROGRAM
23 AND TO ENGAGE INDUSTRY. THAT WAS A LOT OF THE
24 GENESIS THAT'S INVOLVED HERE.

25 I'LL GO AS QUICK AS YOU'LL LET ME, KNOWING

BARRISTERS' REPORTING SERVICE

1 THAT IT'S BEEN FULLY BRIEFED IN YOUR BINDERS. AND
2 IF YOU HAVE ANY QUESTIONS, FEEL FREE TO ASK ME ALONG
3 THE WAY.

4 ESSENTIALLY THERE'S FOUR OBJECTIVES WHEN
5 WE WERE ARRIVING AT THESE PROPOSED AMENDMENTS. AND
6 WHAT WE WERE SEEKING TO DO IS, ONE, SMOOTH OUT THE
7 PAYMENT STREAM. AS YOU CAN SEE, THAT WOULD
8 OBVIOUSLY BE SOMETHING THAT INDUSTRY WOULD BE
9 INTERESTED IN.

10 TWO, ALSO WANTED TO EXTEND THE REVENUE
11 SHARING OBLIGATION SO THAT THEY ACTUALLY APPLY TO
12 THE COMMERCIALIZING ENTITY WITH RESPECT TO REVENUE
13 SHARING THAT WE OBTAIN FROM COMMERCIAL SALES. WITH
14 RESPECT TO THE LICENSING REVENUE THAT WE SHARE, FOR
15 INSTANCE, ARISING FROM LICENSES, MOST LIKELY FROM
16 NON-PROFITS, WE WANTED TO ADD A LITTLE MORE
17 CLARIFICATION TO THE PROPORTIONALITY CALCULATION
18 THAT PERTAINS TO THE ROYALTY RATE IN THAT SENSE.
19 BUT WE WANTED TO KEEP IN MIND THAT SINCE WE HAD
20 OBTAINED SO MUCH INPUT FROM THE NON-PROFITS, THAT WE
21 WANTED TO MAINTAIN TO THE HIGHEST DEGREE POSSIBLE
22 THE SAME IMPACT ON THEM. IN OTHER WORDS, NOT IMPACT
23 OR CHANGE THE REGULATIONS AS IT APPLIED TO THEM
24 EXCEPT WITH RESPECT TO THE PROPORTIONALITY
25 CLARIFICATION THAT WE WERE OFFERING.

BARRISTERS' REPORTING SERVICE

1 ONE POINT I WANT TO EMPHASIZE BECAUSE I
2 THINK IT REALLY DOES BEAR EMPHASIS IS THAT WE'RE NOT
3 CHANGING ANYTHING THAT WOULD MEAN THAT THE REVENUES
4 THAT WE SHARE GO TO CIRM VERSUS THE STATE OF
5 CALIFORNIA. THEY WILL CONTINUE TO ALWAYS GO TO THE
6 STATE OF CALIFORNIA. WE THINK THAT THIS NEW
7 PARADIGM WE'RE APPLYING WILL BE EASIER TO IMPLEMENT
8 AND HAVE A COMPARABLE IMPACT FINANCIALLY TO THE
9 STATE. SO I THINK THAT BEARS MENTIONING.

10 SO LET ME JUST WALK THROUGH FIVE KEY
11 CONCEPTS WHICH I THINK CAPTURE ALL OF THOSE TRACK
12 CHANGES THAT YOU SEE. AND BEFORE DOING SO, LET ME
13 REMIND YOU THAT THAT IS A MATTER THAT HAS BEEN
14 CONSIDERED AT DEPTH BY THE IP AND INDUSTRY
15 SUBCOMMITTEE IN JUNE OF THIS YEAR. SO THIS HAS BEEN
16 VETTED VERY THOROUGHLY. AND BY A UNANIMOUS VOTE OF
17 ALL THOSE PRESENT, THE SUBCOMMITTEE RECOMMENDED THAT
18 THESE SETS OF AMENDMENTS BE APPROVED BY THE BOARD
19 TODAY. AND UPON SUCH APPROVAL, IT WOULD SIMPLY
20 START A REGULATORY RULEMAKING PROCESS. SO THERE
21 WOULD BE OPPORTUNITY FOR THE PUBLIC TO COMMENT
22 AGAIN; AND IF ANY ADDITIONAL MATTERS CAME TO OUR
23 ATTENTION THAT WE THOUGHT SHOULD GO TO THE BOARD'S
24 ATTENTION, WE WOULD CERTAINLY BRING THEM TO YOU WITH
25 SOME ADDITIONAL PROPOSED AMENDMENTS.

BARRISTERS' REPORTING SERVICE

1 SO I'LL GO THROUGH THE SET OF FIVE KEY
2 CHANGES. FIRST ONE RELATES TO SECTION 100608 A.
3 AND IT, AGAIN, RELATES TO THE LICENSING REVENUE
4 ASPECTS OF OUR REVENUE SHARING PROGRAM. AS I
5 INDICATED, THERE IS THIS REDUCTION OF THE 25-PERCENT
6 ROYALTY RATES BY A FRACTION OF THE AMOUNT OF FUNDING
7 THAT CIRM FUNDS COMPARED TO THE COST OF THE FUNDING
8 TO DEVELOP THE CIRM-FUNDED INVENTIONS AND
9 TECHNOLOGY. UNDER THE CURRENT APPROACH, IT'S A
10 LITTLE VAGUE AS TO HOW TO CALCULATE THAT BECAUSE WE
11 DON'T SAY OVER WHAT TIME PERIOD.

12 MY UNDERSTANDING WAS AND IS THAT THE TIME
13 PERIOD WAS ALWAYS INTENDED TO BE THE TIME PERIOD
14 DURING WHICH THE CIRM-FUNDED PROJECT EXISTED. SO WE
15 CLARIFIED THAT, AND IT'S BEFORE YOU IN NOT ONLY THE
16 DOCUMENTATIONS IN YOUR BINDER, BUT UP HERE ON THE
17 SCREEN.

18 NOW WHAT WE'RE SAYING IS THAT -- WHAT
19 WE'RE SAYING NOW, AND I'LL EXPLAIN A LITTLE BIT OF
20 THE CHANGE IN A SECOND, IS THAT IF CIRM FUNDS 50
21 PERCENT OR MORE OF THE CIRM-FUNDED PROJECT DURING
22 THE PROJECT PERIOD, THAT'S THE CLARIFICATION OF THE
23 TIMELINE, WHICH GIVES RISE TO THE CIRM-FUNDED
24 INVENTION OR TECHNOLOGY, THEN THE ROYALTY SHARE IS
25 25 PERCENT. IF WE FUND LESS THAN 50 PERCENT, IT'S

BARRISTERS' REPORTING SERVICE

1 15 PERCENT RATHER THAN SORT OF DOING THIS SPECIFIC
2 CALCULATION AS TO THE PRECISE AMOUNT OF FUNDING.
3 AND WE CLARIFIED AGAIN THAT IT WAS DURING THE
4 PROJECT PERIOD. SO THAT'S THE FIRST CHANGE. I HOPE
5 THAT'S MAKING SENSE AT THIS LATE HOUR, AND I HOPE
6 I'M BEING CLEAR ENOUGH ON THAT.

7 DR. POMEROY: CAN I ASK A QUESTION ABOUT
8 THAT? SO IF THEY PUT, LIKE, YOU KNOW, 50 MILLION IN
9 UP TO THE POINT TO GET IT READY FOR THE CIRM
10 PROJECT, THEN NONE OF THAT COUNTS IN THE CALCULATION
11 OF WHAT THEY PUT IN BECAUSE IT'S ONLY THE PROJECT
12 PERIOD THAT'S IN THE CALCULATION?

13 MS. BAUM: I'M GLAD THAT YOU MENTIONED
14 THAT. SO AS DRAFTED, THAT'S WHAT WOULD TRANSPIRE.
15 BUT I'LL TELL YOU IT'S VERY STICKY BUSINESS FIGURING
16 OUT WHEN YOU CALCULATE THE PERIOD OF TIME FOR THE
17 CREATION OF SOME INVENTION. I WANT TO SHARE WITH
18 YOU THAT LAST WEEK WE WERE AT STANFORD, AND WE HAD A
19 CALIFORNIA TECH TRANSFER-WIDE MEETING WHERE WE HAD
20 REPRESENTATIVES FROM ALL THE TECH TRANSFER OFFICES.
21 AND I BROUGHT THIS TO THEIR ATTENTION, AS I HAD
22 EARLIER. AND THEY SAID, GEE, I DIDN'T REALLY
23 UNDERSTAND WHAT THIS MEANT THE FIRST TIME WE WENT
24 OUT. I SAID, LOOK, WE ARE SIMPLY GOING TO TRY TO
25 INITIATE A RULEMAKING. IF YOU HAVE COMMENTS, WE'RE

BARRISTERS' REPORTING SERVICE

1 HAPPY TO MEET WITH YOU, TALK TO YOU, AND WE CAN
2 PROCEED TO FIGURE OUT WHAT THIS MEANS TO YOU.

3 I KNOW THAT THERE ARE CERTAIN BOARD
4 MEMBERS HERE THAT THOUGHT IT WAS JUST THE PERIOD OF
5 THE PROJECT PERIOD OF THE GRANT AWARD, AND THAT'S
6 WHY IT WAS DRAFTED IN HERE.

7 SO WITH THAT SAID, I HAVE MADE COMMITMENTS
8 TO THE HEAD OF TECH TRANSFER AT STANFORD AND THE
9 CALIFORNIA OFFICE OF THE PRESIDENCY FOR THE UC'S TO
10 MEET WITH THEM AND TALK FURTHER ABOUT THESE, AND
11 WITH ANY BOARD MEMBERS THAT WANT TO JOIN IN THAT
12 DISCUSSION.

13 SO LET ME JUST GO THROUGH THESE AND WE'LL
14 SEE HOW FAR WE CAN GET. WE JUST NEED TO GET
15 SOMETHING APPROVED SO THAT --

16 DR. STEWARD: CAN I ASK A CLARIFYING
17 QUESTION? I KNOW THIS HAS BEEN DISCUSSED, AND I
18 JUST DON'T REMEMBER THE ANSWER. THE TIMING, SO
19 SOMETHING THAT HAPPENS DURING THE PERIOD OF THE
20 GRANT IS WHAT QUALIFIES AND DETERMINES THE AMOUNT OF
21 THE PERCENT. IS THAT THE TIME OF THE DISCOVERY OR
22 THE TIME OF FIRST FILING?

23 MS. BAUM: I THINK WHAT YOU'RE ASKING IS
24 WHAT IS THE DEFINITION OF INVENTION.

25 DR. STEWARD: THAT'S RIGHT.

BARRISTERS' REPORTING SERVICE

1 MS. BAUM: SO THE DEFINITION OF INVENTION,
2 WE HAVE A LOT OF DIFFERENT SCENARIOS, BUT I THINK IF
3 IT'S CONCEIVED OUTSIDE, BUT REDUCED DURING THE
4 PROJECT PERIOD, IT'S CONSIDERED A CIRM-FUNDED
5 INVENTION, WHICH A LOT OF OUR MONEY IS GOING TO BE
6 USED TO REDUCE TO PRACTICE OR TO GENERATE DATA. AND
7 SO -- OR IF IT'S OBVIOUSLY CONCEIVED AND REDUCED TO
8 PRACTICE WITHIN THE PROJECT PERIOD, IT'S A
9 CIRM-FUNDED INVENTION AS WELL. OR IF IT'S CONCEIVED
10 DURING THE PROJECT PERIOD AND REDUCED, I BELIEVE
11 IT'S 12 MONTHS AFTER, SO THERE'S NO GAMING, THEN
12 IT'S CONSIDERED A CIRM-FUNDED INVENTION. THOSE ARE
13 THE THREE SCENARIOS THAT I RECALL WITHOUT HAVING IT
14 IN FRONT OF ME OF HOW WE DEFINED THIS.

15 SO THAT'S JUST -- THIS IS NOT EASY. LET
16 JUST GO THROUGH THE DIFFERENT SCENARIOS. I THINK
17 IT'S REALLY IMPORTANT FOR BUSINESS THAT WE PROCEED
18 WITH THESE SETS OF PROPOSED AMENDMENTS.

19 WE ALSO HAVE -- IT'S TOUGH. I KNOW.
20 LOOKS LIKE THE PLANE WAS DELAYED OR SOMETHING IN A
21 DREADFUL WAY. REMINDS ME OF OUR TRIP TO SAN DIEGO
22 LAST WEEK.

23 THE SECOND GENERAL CONCEPT THAT WE'RE
24 PROPOSING IS TO ALIGN OUR DEFINITION OF LICENSING
25 REVENUE SIMILAR TO THE WAY WE'VE AGREED OR THE BOARD

BARRISTERS' REPORTING SERVICE

1 AGREED TO DO. SO WITH RESPECT TO THE LOAN
2 ADMINISTRATION POLICY, YOU MAY RECALL THAT A FEW
3 MONTHS AGO THE ICOC AGREED THAT WITH RESPECT TO
4 FOR-PROFITS, THAT PRECOMMERCIAL REVENUE WOULDN'T BE
5 CONSIDERED REVENUE IN THE CONTEXT OF THE LAP. AND
6 WE'RE SAYING IT SHOULDN'T BE CONSIDERED LICENSING
7 REVENUE HERE WITH RESPECT TO FOR-PROFIT GRANTEEES AND
8 COLLABORATORS. THAT'S, IN ESSENCE, TO ALIGN THE
9 SAME SORT OF THOUGHT PROCESSES FOR THE LAP AND APPLY
10 THEM HERE. THE NOTION BEING THAT THOSE TYPES OF
11 REVENUES ARE, IN ESSENCE, CONSIDERED IN THE INDUSTRY
12 A PAYMENT IN ARREARS FOR FUNDING ALREADY INVESTED.
13 AND WE WANTED TO MAKE THIS CHANGE AS WE DID IN THE
14 LAP TO ELIMINATE ANY DISINCENTIVE TO ENGAGE IN CIRM.
15 WE HEARD THAT WAS A BIG CONCERN WITH A LOT OF FOLKS.

16 THOSE ARE TWO KEY COMPONENTS OF CHANGES TO
17 SECTION 100608 A. AND THEN WE HAVE A FEW MORE I
18 WANT TO TALK TO YOU ABOUT WITH RESPECT TO THE
19 REVENUE SHARING ARISING FROM THE OTHER INCOME
20 STREAM, WHICH IS SALES FROM CIRM-FUNDED DRUGS,
21 PRODUCTS, SERVICES. I THINK THIS IS THE PRIME
22 IMPORTANCE AND WHAT OUR REAL GOAL WAS IN ADVANCING
23 THESE SETS OF AMENDMENTS TODAY.

24 SO, FIRST OF ALL, WHAT WE WANTED TO DO,
25 UNDER THE CURRENT REGULATIONS IT SAYS THAT -- I'M

BARRISTERS' REPORTING SERVICE

1 SORRY NOT I'M ADVANCING MY SLIDES. I'M OBVIOUSLY
2 VERY TIRED. I CAN DO IT. SO WHAT WE'RE TRYING TO
3 DO HERE WITH RESPECT TO THIS CHANGE IS TO MAKE SURE
4 THAT IT'S NOT THE GRANTEE OR THE COLLABORATOR THAT
5 ULTIMATELY HAS TO PAY WHEN THEY COMMERCIALIZE A
6 PRODUCT, BUT THAT WE'RE REACHING TO THE
7 COMMERCIALIZING ENTITY. SO WE INTRODUCED THIS NEW
8 CONCEPT, SAYING IT'S THE COMMERCIALIZING ENTITY THAT
9 NEEDS TO PAY.

10 THE REASON TO DO THAT IS THAT ALTHOUGH,
11 TECHNICALLY SPEAKING, WE COULD CREATE SYSTEMS WHERE
12 THE GRANTEES AND COLLABORATORS WOULD HAVE TO PAY,
13 THEY'RE TYPICALLY SORT OF THE MIDDLEMAN, THEY'RE THE
14 SMALL BIOTECH THAT THEN WILL OFTEN WITH RESPECT TO
15 DRUGS BE OUTLICENSING TO THE PHARMA. WHY NOT HAVE
16 THE PHARMA PAY US DIRECTLY? THEN WE DON'T HAVE TO
17 WORRY THAT THE MIDDLEMAN. THE SMALLER BIOTECH MIGHT
18 NOT BE AROUND. SO WE MADE THAT CHANGE AND JUST
19 CREATED THIS NEW DEFINITION.

20 THEN WHAT WE DID IS WE STATED THAT TO THE
21 EXTENT THAT A COMMERCIALIZING ENTITY IS MAKING THE
22 REQUIRED OR THE APPROPRIATE PAYMENT TO CALIFORNIA
23 FOR THE SALE OF THE PRODUCTS, SERVICE, OR DRUG AS
24 REQUIRED, THEN THE MIDDLE PERSON, THE MIDDLE ENTITY,
25 SUCH AS THE GRANTEE OR COLLABORATOR IN CASE OF A

BARRISTERS' REPORTING SERVICE

1 BIOTECH, WOULD NOT HAVE TO PAY ANY LICENSING REVENUE
2 THAT'S DERIVED FROM THE SAME SALES REVENUE STREAM.

3 SO WHAT WE'RE TRYING TO SAY IS OR WHAT
4 WE'RE TRYING TO ADDRESS IS THE SITUATION -- AS YOU
5 CAN IMAGINE, YOU HAVE THE UC THAT THEN LICENSES TO A
6 SMALL BIOTECH THAT THEN LICENSES TO A PHARMA
7 COMPANY. SOMETIMES THE BIOTECH WILL ACTUALLY GET
8 SOME MILESTONE PAYMENTS OR EVEN SOME ROYALTIES FROM
9 THE PHARMA COMPANY. IF WE ARE OBTAINING A PAYMENT
10 FROM THE PHARMA COMPANY, THEN WE'RE SAYING THAT WE
11 SHOULDN'T COLLECT DOUBLE AND ALSO RECEIVE A PAYMENT
12 FROM THE BIOTECH COMPANY.

13 AND, FINALLY, WHAT WE'RE SEEKING TO DO,
14 AND THIS RELATES TO THE SMOOTHING OUT OF THE PAYMENT
15 STREAMS, IS CHANGE THE ROYALTY PAYMENT AND SIMPLIFY
16 IT AS WELL. SO WHAT WE CURRENTLY HAVE IS THAT A
17 PAYMENT OF 3 PERCENT PER YEAR UNTIL YOU REACH THREE
18 TIMES THE GRANT AMOUNT. AND THEN WE HAVE THIS
19 ONETIME PAYMENT OF 3 X AT 250 MILLION REVENUES PER
20 YEAR. AND THEN WE HAVE ANOTHER ONETIME PAYMENT OF 3
21 X ONCE YOU EXCEED \$500 MILLION PER YEAR IN REVENUES,
22 PLUS A 1-PERCENT ROYALTY AFTER THE 500 MILLION PER
23 YEAR.

24 WHAT WE'RE TRYING TO DO IS ELIMINATE THESE
25 ONETIME PAYMENTS BECAUSE THEY'RE CONSIDERED LUMPY.

BARRISTERS' REPORTING SERVICE

1 AND TO SIMPLIFY IT, WE'VE OFFERED, AND THIS IS
2 SOMETHING THAT WAS CONSIDERED IN-DEPTH BY THE IP AND
3 INDUSTRY SUBCOMMITTEE, WE'VE OFFERED THIS
4 ALTERNATIVE APPROACH. AND THAT IS THE ROYALTY RATE
5 INSTEAD WOULD BE 0.1 PERCENT PER MILLION DOLLARS IN
6 GRANTS. AND IT WOULD BE FOR THE EARLIER TO OCCUR OF
7 TEN YEARS OR 9 X THE GRANT AMOUNT. AND THEN WE
8 WOULD ALSO ON TOP OF THAT, ONCE THAT'S SATISFIED,
9 HAVE THAT 1-PERCENT ROYALTY ON NET COMMERCIAL
10 REVENUE IN EXCESS OF 500 HUNDRED MILLION A YEAR
11 UNTIL THE LAST TWO EXPIRE PATENT COVERING A
12 CIRM-FUNDED INVENTION. AND LIKE THE CURRENT
13 LANGUAGE, THAT ADDITIONAL 1-PERCENT ROYALTY WOULD
14 ONLY APPLY WHERE THERE WERE GRANTS IN EXCESS OR
15 EQUAL TO OR IN EXCESS OF \$5 MILLION FROM CIRM.

16 SO THOSE ARE THE FIVE GENERAL CONCEPTS
17 THAT WE'RE TRYING TO FIND OR OBTAIN APPROVAL FROM
18 THE BOARD FOR SO THAT WE CAN OPEN UP THIS PUBLIC
19 COMMENT PERIOD. IF THERE'S ANYTHING THAT NEEDS TO
20 BE ADDRESSED, ANY SORT OF CREATIVE WAYS, ESPECIALLY
21 THAT THE NON-PROFITS WANT TO ADDRESS, WE CAN BRING
22 THAT BACK TO THE BOARD.

23 AND IN DOING SO, THOUGH, I JUST WANT TO
24 REMIND THE BOARD THAT WE NEED TO, IF WE APPROVE
25 THESE AMENDMENTS, ALSO HAVE A SECOND MOTION BECAUSE

BARRISTERS' REPORTING SERVICE

1 PURSUANT TO SB 1064, IF WE WANT TO CHANGE OUR
2 REVENUE SHARING REQUIREMENTS, WE HAVE TO MAKE A
3 CERTAIN FINDING WHICH IS ABOVE YOU ON THE SLIDE.
4 AND THAT'S, IN ESSENCE, THAT THE AMENDMENTS WERE
5 NECESSARY TO EITHER ENSURE ESSENTIAL RESEARCH IS NOT
6 UNREASONABLY HINDERED AND/OR THAT THE STATE HAS AN
7 OPPORTUNITY TO BENEFIT FROM PATENTS AND ROYALTIES IN
8 ORDER TO ENSURE THAT THESE AMENDMENTS ARE BEING
9 APPROVED.

10 MR. TORRES: SO MOVED ON THE FIRST
11 AMENDMENT.

12 DR. JUELSGAARD: SECOND.

13 CHAIRMAN THOMAS: IT'S BEEN MOVED AND
14 SECONDED. IS THERE DISCUSSION? MR. JUELSGAARD IS
15 THE AUGUST COMMITTEE CHAIR. WOULD YOU LIKE TO
16 COMMENT ON THIS PRESENTATION?

17 DR. JUELSGAARD: JUST REALLY BRIEFLY. SO
18 WE ACTUALLY DID SPEND A FAIR AMOUNT OF TIME
19 DISCUSSING THESE PARTICULAR ISSUES AT THE
20 INTELLECTUAL PROPERTY AND INDUSTRY SUBCOMMITTEE.
21 AND DUANE, WHO'S BEEN WAITING OUT IN THE LOBBY THE
22 LAST THREE HOURS AND JOINED US NOW THAT THE
23 CONTROVERSY IS DONE. ANYWAY, WE SPENT A LOT OF TIME
24 DISCUSSING THIS AND REALLY RECKONING WHAT IN THE END
25 WOULD WORK VIS-A-VIS INDUSTRY BECAUSE SOME OF THE

BARRISTERS' REPORTING SERVICE

1 CONCEPTS THAT WERE EMBEDDED THERE JUST WERE NOT VERY
2 WORKABLE, I THINK, FROM OUR COLLECTIVE POINT OF
3 VIEW.

4 SO WE WERE PLEASED WITH THESE
5 MODIFICATIONS THAT ARE BEING SUGGESTED TO BE MADE.

6 CHAIRMAN THOMAS: MR. HARRISON, THIS IS A
7 VOICE VOTE ITEM, CORRECT?

8 MR. HARRISON: CORRECT.

9 CHAIRMAN THOMAS: ANY FURTHER DISCUSSION
10 ON THIS PARTICULAR MOTION?

11 DR. LUBIN: SO I'M JUST CURIOUS. BEFORE
12 AWARDS ARE MADE, IS THERE GOING TO BE A DOCUMENT
13 DESCRIBING THIS THAT GOES TO THE INSTITUTION WHO
14 SIGNS OFF ON AGREEING TO THESE PLANS?

15 MS. BAUM: THEY'RE OUR REGULATIONS. SO
16 ONCE THEY'RE ENACTED, THEY -- BUT WE ALWAYS ENGAGE
17 AND APPRISE THEM, AND WE'VE BEEN DOING THAT ALL
18 ALONG.

19 MS. SAMUELSON: QUESTION. HOW ARE YOU
20 GOING TO KNOW THAT THE ESSENTIAL RESEARCH IS NOT
21 HINDERED OR THAT IT'S A REASONABLE AMOUNT OF
22 HINDRANCE CONSIDERING THE STORIES WE'VE HEARD TODAY?

23 MS. BAUM: WHAT WE'RE ASKING, THAT IF YOU
24 FIND THAT THESE AMENDMENTS ARE APPROPRIATE, WE ALSO
25 ASK THAT YOU FIND THAT THEY'RE APPROPRIATE BECAUSE

BARRISTERS' REPORTING SERVICE

1 WITHOUT THEM ESSENTIAL RESEARCH WOULD BE
2 UNREASONABLY HINDERED OR THAT YOU FIND THAT THESE
3 AMENDMENTS WILL ALLOW US OR CALIFORNIA TO BETTER
4 BENEFIT FROM ROYALTIES AND PATENTS THAT ARE
5 GENERATED FROM THE CIRM-FUNDED RESEARCH.

6 MS. SAMUELSON: THEY MAY SIGN OFF ON
7 SOMETHING, BUT THEN A LAB MAY DECIDE NOT TO PURSUE A
8 CERTAIN AREA BECAUSE THE ROYALTY HIT IS TOO HIGH. I
9 DON'T HAVE THE STRENGTH OR ATTENTION SPAN NOW TO
10 HAVE THE DISCUSSION. SO I SHOULDN'T EVEN OPEN MY
11 MOUTH.

12 MY OTHER QUESTION IS HAS THIS BEEN
13 NEGOTIATED OR LITIGATED OR SOMETHING WITH THE STATE
14 PURSUANT TO THE PROVISIONS IN THE CURES ACT?

15 MS. BAUM: THERE'S NO LITIGATION ON THESE
16 ISSUES IN TERMS OF NEGOTIATION. I THINK IF YOU MEAN
17 THAT WE REGULARLY SEEK INPUT FROM STAKEHOLDERS, WE
18 DO DO THAT.

19 MS. SAMUELSON: JUST GIVEN THERE'S
20 PROVISION IN THE LAW FOR REVENUE SHARING AND THE
21 CEILING ON DRUG COSTS. NEVER MIND. I WOULD THINK
22 ALL OF THAT IS WORTHY OF DISCUSSION, BUT I CAN'T
23 PURSUE IT NOW.

24 MR. ROTH: JOAN, MAYBE I CAN HELP. SO
25 THERE'S TWO THINGS HERE. ONE, THERE'S SOME CHANGES

BARRISTERS' REPORTING SERVICE

1 THAT WE THINK WILL IMPROVE THE IP POLICY THAT WE
2 ORIGINALLY NEGOTIATED. SO THOSE ARE THE FIRST SET
3 OF RECOMMENDATIONS. BUT IN ORDER --

4 MS. SAMUELSON: WITH THE STATE?

5 MR. ROTH: NO. NEGOTIATIONS WITH THE
6 INSTITUTIONS AND THE POTENTIAL LICENSEES OF THESE
7 INTELLECTUAL PROPERTY RIGHTS THAT MIGHT BE
8 GENERATED. SO THAT WAS THE FIRST SET.

9 BUT IN ORDER FOR US TO TAKE THAT ACTION,
10 WE'RE REQUIRED TO MAKE A FINDING THAT IF WE DIDN'T
11 TAKE THAT ACTION, IT COULD IMPAIR RESEARCH FROM
12 GOING FORWARD. SO IT'S JUST WE HAVE TO MAKE THAT
13 FINDING IN ORDER TO MAKE THE CHANGE THAT WE'RE
14 RECOMMENDING.

15 MS. SAMUELSON: OKAY.

16 CHAIRMAN THOMAS: OKAY. THE QUESTION HAS
17 BEEN CALLED. ALL THOSE IN FAVOR OF THE MOTION ON
18 THE TABLE PLEASE SAY AYE. OPPOSED? ABSTENTIONS?
19 ANYBODY ON THE PHONE?

20 NOW, IS THERE A PART 2, IS THERE A SECOND
21 THING?

22 MR. TORRES: YES. I SO MOVE.

23 MR. ROTH: SECOND.

24 CHAIRMAN THOMAS: PART 2 HAS BEEN MOVED BY
25 THE SENATOR, SECONDED BY DUANE, WHICH IS, I ASSUME,

BARRISTERS' REPORTING SERVICE

1 TWO LITTLE "I" THERE. ANY DISCUSSION ON THIS?
2 ACTUALLY WAS REMISS IN THE LAST SEGMENT
3 AND FORGOT TO ASK IF THERE WAS ANY PUBLIC COMMENT.
4 ANY PUBLIC COMMENT HERE? SEEING NONE, ALL THOSE IN
5 FAVOR PLEASE SAY AYE. OPPOSED.

6 OKAY. IF WE CAN GET ONE MORE ITEM
7 THROUGH, WE'LL BE DONE. SO LET'S GO TO ITEM NO. 19,
8 CONSIDERATION OF THE AMENDMENTS TO THE STRATEGIC
9 PARTNERSHIP FUNDING CONCEPT PLAN.

10 MS. BAUM: TODAY'S MY LUCKY DAY. ALL
11 RIGHT. THIS TIME I ONLY HAVE ONE SLIDE. BUT YOU DO
12 HAVE A BRIEFING, A MORE DETAILED DOCUMENT, WITHIN
13 YOUR BINDERS. THANK YOU FOR YOUR CONSIDERATION AND
14 FOR CONSIDERING THIS, PARTICULARLY AT THIS LATE
15 HOUR.

16 AS YOU ALL KNOW, THE STRATEGIC PARTNERSHIP
17 FUNDING PROGRAM IS NEW. WE HAVE LEARNED A LOT IN
18 THE LAST FEW MONTHS. AND WHEN WE INITIALLY DRAFTED
19 THIS PROPOSED CONCEPT, WE HAD SOME IDEAS OF HOW IT
20 WOULD WORK. AND NOW THAT WE HAVE SOME TIME AND
21 EXPERIENCE UNDER OUR BELTS, WE THINK WE CAN MAKE A
22 LITTLE MORE TWEAKS TO MAKE IT WORK BETTER.

23 WHAT WE'RE PROPOSING TO DO IS THREEFOLD.
24 IT'S PRETTY SIMPLE. FIRST, IT'S JUST A MECHANISTIC
25 CHANGE. INITIALLY WE CALLED FOR A PROGRAM

BARRISTERS' REPORTING SERVICE

1 ANNOUNCEMENT TO BE POSTED WITH SORT OF JUST A
2 REVOLVING APPLICATION PROCESS. WE'RE CHANGING THAT
3 A LITTLE TO REQUEST THAT IT JUST BE AN RFA THAT'S
4 POSTED. WE HAVE IN OUR LONG-TERM PLANNING
5 DETERMINED THAT WE WOULD SEEK TO POST EVERY SIX
6 MONTHS. OF COURSE, DEPENDING ON THE BOARD
7 REPLENISHING THE FUNDING, WHICH WE WILL BE COMING TO
8 THE BOARD TO ASK FOR AT SOME POINT.

9 AND IN ADDITION, WHAT WE WANT TO DO IS NOT
10 CHANGE THE OVERALL SCOPE OF WHAT WAS APPROVED FOR
11 THIS PROGRAM, WHICH WAS BASIC RESEARCH ALL THE WAY
12 TO PHASE II; BUT IN IMPLEMENTING IT, IT BECOMES VERY
13 DIFFICULT TO MAKE SURE THAT WE CAN KEEP OUR VERY
14 HIGH STANDARDS OF REVIEW IF WE NEED TO HAVE EXPERTS
15 THAT COVER THAT FULL BODY OF RESEARCH PIPELINE FROM
16 BASIC TO PHASE II.

17 SO WITH AN RFA-BY-RFA BASIS, WHAT WE'D
18 LIKE TO DO IS HAVE THE ABILITY TO NARROW THE SCOPE
19 AS APPROPRIATE.

20 AND, FINALLY, I GUESS I'M THE ONE WHO
21 PLACED THE IP AND INDUSTRY SUBCOMMITTEE REVIEW
22 WITHIN THE PROCESS AND HAVE COME TO REALIZE THAT THE
23 TYPE OF DOCUMENTATION WE'RE GETTING WITH RESPECT TO
24 COMMERCIAL VALIDATION IS NOT OF SUCH A COMPLICATED
25 NATURE AND SO DETAILED THAT IT REQUIRES A REALLY

BARRISTERS' REPORTING SERVICE

1 IN-DEPTH REVIEW BY, I THINK, THE SUBCOMMITTEE, AND
2 IT CREATES A BURDENSOME AND AWKWARD SECONDARY STEP.

3 SO WHAT WE'RE PROPOSING TO DO IS LET THE
4 ICOC CONSIDER THE EVIDENCE OF COMMERCIAL VALIDATION
5 THAT'S PROVIDED ALONG WITH THESE APPLICATIONS. AND,
6 OF COURSE, AS APPROPRIATE, CONSIDER THAT IN CLOSED
7 SESSION WHEN THERE'S CONFIDENTIAL INFORMATION. AND
8 INSTEAD OF THE IP AND INDUSTRY SUBCOMMITTEE, LET THE
9 ICOC BE THE ENTITY THAT ULTIMATELY DECIDES WHEN WE
10 WILL AGREE TO FUND MORE THAN THE AMOUNT ALLOTTED, IN
11 THIS CASE, FOR STRATEGIC PARTNERSHIP I, IT WAS 10
12 MILLION, OR A LONGER TERM BECAUSE THE CURRENT
13 PROPOSED CONCEPT PLAN SAYS THAT IT'S THE IP AND
14 INDUSTRY SUBCOMMITTEE THAT DOES THE RECOMMENDATION
15 FOR SUCH, BUT ULTIMATELY THE ICOC WOULD HAVE TO MAKE
16 THAT FINAL DETERMINATION ANYWAY.

17 SO WHAT WE WANT TO DO AS PART OF THIS
18 THIRD PROPOSED RECOMMENDED CHANGE IS TO ELIMINATE
19 THE ROLE OF THE IP AND INDUSTRY SUBCOMMITTEE WITH
20 REVIEW OF THE COMMERCIAL VALIDATION ASPECTS OF THIS
21 PROGRAM. AND THEN, INSTEAD, HAVE THE ICOC DO IT.
22 AND THAT'S THE SUM AND SUBSTANCE OF THESE PROPOSED
23 CHANGES.

24 CHAIRMAN THOMAS: OKAY. THANK YOU, ELONA.
25 I WOULD LIKE TO ADD WE'VE HAD A LOT OF DISCUSSION ON

BARRISTERS' REPORTING SERVICE

1 THIS TOPIC IN EXECUTIVE COMMITTEE AS WELL. AND
2 CURRENTLY, AND I'VE ALLUDED TO THIS, I THINK, AT
3 LEAST TWICE AT PAST BOARD MEETINGS, WE ORIGINALLY
4 AUTHORIZED 30 MILLION FOR THE PURPOSE OF THE
5 STRATEGIC PARTNERSHIP FUND. IT IS OUR COLLECTIVE
6 OPINION IN DISCUSSING THIS THAT SINCE THIS IS GOING
7 TO BE A ROLLING RFA EVERY SIX MONTHS AND THAT WE'RE
8 GOING TO HAVE EBBS AND FLOWS OF WHAT SEEM TO BE
9 PROMISING PROJECTS THAT ARE UP FOR REVIEW, WITH
10 RESPECT TO THE CURRENT ROUND, I THINK IF YOU WERE TO
11 ASK DR. TROUNSON, HE WOULD TELL YOU THAT WE HAVE
12 QUITE A NUMBER OF PROMISING PROJECTS OBVIOUSLY
13 TOTALLY SUBJECT TO PEER REVIEW BY THE GRANTS WORKING
14 GROUP. BUT BASED ON THE ANALYSIS THAT DR. TROUNSON
15 AND COLLEAGUES HAVE DONE, IT IS MY RECOMMENDATION
16 THAT WE FOR THIS SPECIFIC ROUND INCREASE THE AMOUNT
17 TO BE AWARDED UP TO 60 MILLION AND THAT WE REVISIT
18 THIS ON AN RFA-BY-RFA BASIS AS WE GO ALONG BASED ON
19 WHAT WE'RE SEEING OUT THERE IN TERMS OF POTENTIAL
20 PROJECTS. DR. TROUNSON, IS THAT A FAIR SUMMARY OF
21 OUR DISCUSSION?

22 DR. TROUNSON: I THINK THAT'S A FAIR
23 INDICATION OF THE POTENTIAL NEED. IF YOU REMEMBER,
24 THAT WE WERE ONLY FUNDING UP TO \$10 MILLION FOR EACH
25 OF THE PROJECTS. AND SO I THINK IT WOULD BE OUR

BARRISTERS' REPORTING SERVICE

1 ASSESSMENT THAT IT'S VERY LIKELY THAT SIX OR SEVEN
2 GOOD PROJECTS MAY COME FORWARD IN THIS ROUND. WE
3 DON'T KNOW WHAT WILL HAPPEN IN FUTURE ROUNDS, BUT
4 IT'S LIKELY ALSO TO BE WELL ATTENDED TO BY THE
5 BUSINESS SECTOR. SO I THINK IT WOULD BE VERY WISE
6 TO CONSIDER THE POSSIBILITY, IF THE GRANTS WORKING
7 GROUP RECOMMENDS, THAT WE HAVE A HIGHER NUMBER THAN
8 THE 30 MILLION, AND 60 MILLION SOUNDS PRETTY
9 REASONABLE.

10 MR. ROTH: J.T., I WANT TO SUPPORT THAT.
11 I THINK WE NEED TO GIVE INDUSTRY A SIGNAL THAT THERE
12 IS A PATHWAY TO GET FUNDED BY CIRM. AND I WASN'T
13 HERE FOR THE DISCUSSION, BUT I HAD ENOUGH PHONE
14 CALLS THIS WEEK TO KNOW THAT WE NEED TO DELIVER THAT
15 MESSAGE. AND I THINK WE SHOULD MAKE SURE THEY
16 UNDERSTAND THIS IS NOT A LIMITATION TO \$30 MILLION.
17 IF THERE ARE GOOD PROJECTS WITH GOOD SCIENCE THAT
18 NEED TO BE FUNDED, WE HAVE SAID IT OVER AND OVER
19 AGAIN, WE NEED TO INCREASE THAT AMOUNT. SO I
20 SUPPORT WHAT YOU'RE TALKING ABOUT AND EVEN WOULD
21 ENCOURAGE IT TO GO HIGHER.

22 MR. SHEEHY: I GUESS I HAD TWO POINTS.
23 ONE IS I'M NOT SURE WE CAN DO THIS AT THIS MEETING
24 BECAUSE WE HAVEN'T NOTICED THAT. BUT I WONDER IF IT
25 MIGHT MAKE SENSE AS A WAY TO SEND A CLEAR SIGNAL IS

BARRISTERS' REPORTING SERVICE

1 TO REALLOCATE THE UNUSED PORTION. I THINK IT WOULD
2 BE WITHIN APPROPRIATE PROCESS TO REALLOCATE THE
3 EXCESS FUNDS LEFT. WOULDN'T THAT BE PART OF CLOSING
4 OUT THE DISEASE TEAMS? THAT WOULD ALMOST GET YOU TO
5 YOUR SIXTY.

6 CHAIRMAN THOMAS: WE DON'T KNOW WHAT THE
7 UNALLOCATED NUMBER IS SINCE WE STILL HAVE ONE ON THE
8 TABLE.

9 MR. SHEEHY: WELL, NOTWITHSTANDING THAT,
10 WE KNOW IT CAN'T BE LESS THAN 10 MILLION. JUST A
11 SUGGESTION.

12 CHAIRMAN THOMAS: THANK YOU. MR.
13 HARRISON, YOU KNOW WHERE WE'RE TRYING TO GET. WHAT
14 IS YOUR OPINION ON THE BEST WAY TO PROCEED HERE?

15 MR. HARRISON: THE ITEM HAS BEEN AGENDIZED
16 AS CONSIDERATION OF PROPOSED AMENDMENTS TO THE
17 STRATEGIC CONCEPT PLAN. THOUGH THIS PARTICULAR
18 AMENDMENT WAS NOT INCLUDED IN WHAT WAS PRESENTED TO
19 THE BOARD IN ADVANCE IN WRITING, THE SUBJECT OF THE
20 STRATEGIC FUNDING PARTNERSHIP CONCEPT PLAN WAS
21 PROPERLY AGENDIZED. AND IT'S WITHIN THE BOARD'S
22 DISCRETION TO CONSIDER MOTIONS THAT RELATE TO THAT
23 ITEM.

24 CHAIRMAN THOMAS: OKAY. SO GIVEN THAT, WE
25 NEED TO HAVE A --

BARRISTERS' REPORTING SERVICE

1 DR. LEVIN: CAN I JUST ASK A QUESTION ON
2 THIS? THE POINT OF RAISING THE CAP WOULD BE IN
3 ORDER TO SEND THE GRANTS WORKING GROUP A MESSAGE
4 THAT THEY HAVE UP TO \$60 MILLION TO PLAY WITH IN
5 GIVING THEIR RECOMMENDATIONS FOR FUNDING?
6 OTHERWISE --

7 CHAIRMAN THOMAS: YES. AND ALSO TO GIVE
8 INDUSTRY -- THIS ISN'T A NUMBER THAT WE'RE ARRIVING
9 AT SORT OF ARBITRARILY. WE THINK THIS IS AN
10 EDUCATED GUESS NUMBER, AND THAT IT SERVES THE DUAL
11 PURPOSE OF DIRECTING THE GRANTS WORKING GROUP AND
12 INDICATING TO INDUSTRY THAT THERE IS OPPORTUNITY OUT
13 THERE FOR THE RIGHT PROJECTS.

14 DR. LEVIN: WE CAN PROBABLY MORE
15 EFFECTIVELY COMMUNICATE BY WHEN THE GRANTS WORKING
16 GROUP REVIEWS COME BACK AND WE SEE THEM, AND IF THEY
17 ARE DEEMED MERITORIOUS, THEN WE ALWAYS HAVE THE
18 AUTHORITY TO EXCEED THE CAP, WHATEVER WE SET IT AT,
19 AND ACTUALLY AWARD THEM THE \$60 MILLION. THAT'S
20 PRETTY STRONG.

21 CHAIRMAN THOMAS: THAT IS AN OPTION. I
22 PERSONALLY WOULD PREFER --

23 DR. TROUNSON: I WOULD ENCOURAGE THE BOARD
24 TO GO ALONG WITH YOUR VIEW HERE, CHAIRMAN. I THINK
25 IT'S ONE OF THE -- OUR STRATEGIC PLAN IS TO

BARRISTERS' REPORTING SERVICE

1 ENCOURAGE INDUSTRY, AND WE WANT TO SEND THAT STRONG
2 MESSAGE TO INDUSTRY, THAT WE'RE WILLING TO BE
3 SUPPORTIVE. WE DID GET A LOT OF APPLICATIONS. WE
4 WORKED HARD TO CONTRACT THEM INTO A DOABLE SIZE, AND
5 THERE WERE 12 THAT WE INVITED FORWARD, AND THREE
6 SEEMED A VERY SMALL NUMBER OUT OF THAT WHEN YOU
7 THINK WHEN WE STARTED WITH 40.

8 SO I THINK THE IDEA THAT THE BOARD IS
9 INTERESTED IN ENCOURAGING INDUSTRY, I THINK IT IS A
10 PARTICULARLY GOOD MOVE. AND TO MAKE THAT PUBLICLY
11 AWARE AS WE WOULD IF YOU GO AHEAD AND VOTE ON THIS.
12 I THINK IT WOULD HELP US CONSIDERABLY IN OUR
13 INTERACTIONS WITH INDUSTRY. AND I THINK THOSE
14 PEOPLE WHO ARE IN INDUSTRY WOULD RECOGNIZE THAT.

15 CHAIRMAN THOMAS: MR. SHEEHY.

16 MR. SHEEHY: I WOULD BE WILLING TO MAKE
17 THAT MOTION, BUT I WANT TO MAKE TWO OTHER POINTS.
18 ONE, I WOULD LIKE TO TIE IT TO SOME -- JUST SO THAT
19 WE SHOW THAT WE'RE ACTUALLY NOT JUST THROWING MONEY
20 OUR LEFT AND RIGHT WIDELY, I WOULD LIKE TO TIE IT TO
21 SOME POT OF UNUSED FUNDS. LIKE I BELIEVE THE EARLY
22 TRANSLATION ROUND WAS SUBSTANTIALLY UNDER. I KNOW
23 EVEN IF WE WERE TO APPROVE THIS GRANT, WE'D HAVE
24 TEN -- EVEN IF WE WERE TO APPROVE THE FINAL GRANT,
25 WE HAVE 10 MILLION OUT OF THIS ROUND. BUT I WOULD

BARRISTERS' REPORTING SERVICE

1 LIKE TO SAY THAT FUNDS THAT WERE NOT EXPENDED IN
2 EARLIER ROUNDS THAT WERE ALLOCATED BY THE BOARD ARE
3 BEING REPURPOSED FOR THIS GRANT SO THAT WE'RE BEING
4 FLEXIBLE, BUT WE'RE NOT MAKING NEW MONEY, SO TO
5 SPEAK.

6 CHAIRMAN THOMAS: WE STILL HAVE ONE
7 TABLED, HOWEVER.

8 MR. SHEEHY: DO WE KNOW WHAT WAS LEFT OVER
9 OUT OF EARLY TRANSLATION? I THOUGHT WE WERE FAIRLY
10 SUBSTANTIALLY UNDER BUDGET.

11 DR. OLSON: THERE WAS 25 MILLION LEFT OUT
12 OF EARLY TRANSLATION. I'D JUST REMIND THE BOARD
13 THAT SORT OF YOUR ABILITY TO FUND DIFFERENT PROGRAMS
14 OBVIOUSLY DEPENDS ON WHAT YOU APPROVE IN CONCEPT
15 GOING FORWARD BASED ON THAT. YES, AT THE MOMENT
16 THERE WAS 25 MILLION LEFT OUT OF EARLY TRANSLATION.

17 MR. SHEEHY: SO THAT WOULD BE MY MOTION,
18 TO TAKE THE 25 LEFT OVER. AND I DON'T THINK THAT'S
19 A \$20 MILLION GRANT. I THINK IT'S A \$17 MILLION
20 GRANT. SO FIVE FROM THE DISEASE TEAM ROUND AND
21 APPLY THIS TOWARDS THIS.

22 I'D ALSO LIKE TO MAKE ONE OTHER POINT
23 WHILE I HAVE THE FLOOR. THAT'S MY MOTION.

24 MR. TORRES: I THINK IT OUGHT TO BE
25 DELAYED UNTIL WE DEAL WITH THE ISSUE TOMORROW.

BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: THERE'S STILL 24 LEFT OVER.

2 MR. TORRES: IN THE PARKINSON'S?

3 MR. SHEEHY: THERE'S 25 FROM EARLY
4 TRANSLATION, BUT WE STILL HAVE 24 MILLION IN THE
5 DISEASE TEAM AS OF TONIGHT, SO THERE'S YOUR OTHER
6 FIVE.

7 CHAIRMAN THOMAS: CAN I JUST ASK A
8 CLARIFYING, MR. SHEEHY. WE HAD 24 LEFT OVER FROM
9 EARLY TRANSLATION, BUT WHERE ARE WE PUTTING THE SIX
10 MILLION WE JUST VOTED FOR FOR THE DUCHENNE EARLY
11 TRANSLATION?

12 DR. SCHEINER: DISEASE TEAM.

13 CHAIRMAN THOMAS: THAT IS A DISEASE TEAM
14 BUDGET NUMBER? HOW ARE WE ACCOUNTING FOR THAT?

15 DR. OLSON: AT THE MOMENT IT'S ACCOUNTED
16 FOR IN THE DISEASE TEAM. OBVIOUSLY IT WILL BE AN
17 EARLY TRANSLATION PROJECT. BUT IF YOU LOOK AT THE
18 POT OF MONEY YOU HAVE LEFT AT THIS POINT WITH
19 NOTHING ELSE APPROVED, YOU HAVE 52 MILLION IN
20 UNALLOCATED FUNDS, OF WHICH ABOUT 25 WAS FROM THE
21 EARLY TRANSLATION ROUND, AND THE BALANCE IS WHAT YOU
22 HAVE NOT YET ADDRESSED IN THE CONTEXT OF THE DISEASE
23 TEAM AWARDS.

24 MR. ROTH: JEFF, YOU CAN GO TO 60 MILLION.

25 CHAIRMAN THOMAS: THAT GETS YOU 30 TO GET

BARRISTERS' REPORTING SERVICE

1 TO 60.

2 MR. SHEEHY: YEAH. SO THAT WOULD BE MY
3 MOTION.

4 BUT THE OTHER -- SO I DO HAVE ANOTHER
5 POINT ON THIS POLICY IF YOU WANT TO GET TO THAT.

6 CHAIRMAN THOMAS: IS YOUR MOTION YOU MOVE
7 THE THREE PROPOSED AMENDMENTS WITH THAT AS AN
8 ADDENDUM?

9 MR. SHEEHY: ACTUALLY SINCE WE'RE GOING TO
10 AN RFA BASIS, I WOULD LIKE WHEN WE REAPPROVE FUNDS,
11 I DON'T NEED TO SEE AN RFA, BUT I'D LIKE TO KNOW --
12 THIS WAS VERY BROAD FROM BASIC THROUGH PHASE II. SO
13 I'D LIKE TO HAVE AN IDEA OF WHAT THE CONCEPT IS
14 GOING TO BE WHEN WE PUT MONEY IN. SO I'D LIKE TO
15 KNOW WHERE WE'RE FUNDING IN THE PIPELINE. IT'S JUST
16 A LITTLE ADJUSTMENT BECAUSE YOU'RE GOING TO KNOW
17 WHEN YOU COME TO US FOR MONEY. AND I JUST THINK
18 THAT SHOULD BE PART OF WHAT WE APPROVE. I DON'T
19 THINK THAT'S PROBLEMATIC, BUT JUST THAT ONE
20 CLARIFICATION.

21 DR. FEIGAL: I WAS JUST GOING TO SAY IN
22 OCTOBER WE'RE GOING TO BE COMING TO YOU WITH OUR
23 THOUGHTS FOR STRATEGIC PARTNERSHIP II. SO YOU WILL
24 HEAR.

25 MR. SHEEHY: THAT WAS JUST MY POINT. SO

BARRISTERS' REPORTING SERVICE

1 WE'RE NOT JUST FILLING THE POT, BUT WE KNOW WE'RE
2 GOING TO BE DOING, SAY, CLINICAL TRIAL WORK WHEN WE
3 PUT THAT MONEY IN.

4 CHAIRMAN THOMAS: YES. WE WILL REVISIT
5 THE AMOUNT WE WANT TO ALLOCATE PER ROLLING RFA EACH
6 TIME TOWARDS YOUR POINT.

7 MR. SHEEHY: OKAY. THAT'S MY MOTION.

8 MR. ROTH: I'LL SECOND IT. YOU HAVE
9 EVERYTHING IN THAT.

10 MR. SHEEHY: YEAH. I'M FINE WITH THAT.

11 CHAIRMAN THOMAS: MR. HARRISON, IF YOU
12 WOULD LIKE TO TAKE A STAB AT WHAT THE MOTION IS.

13 MR. HARRISON: THE MOTION WOULD BE TO
14 APPROVE THE PROPOSED AMENDMENTS TO THE STRATEGIC
15 PARTNERSHIP PLAN AND CONCEPT PLAN AND INCREASE THE
16 BUDGET UP TO 60 MILLION BY REALLOCATING UNUSED FUNDS
17 FROM THE LAST EARLY TRANSLATION ROUND AND FIVE
18 MILLION FROM THE DISEASE TEAM ROUND AND TO REVISIT
19 THE BUDGET BEFORE EACH STRATEGIC PARTNERSHIP FUND
20 RFA IS ISSUED.

21 CHAIRMAN THOMAS: VERY WELL SAID, MR.
22 HARRISON. ANY DISCUSSION BY MEMBERS OF THE BOARD ON
23 THIS? ANY COMMENTS BY MEMBERS OF THE PUBLIC?
24 HEARING NONE, IS THIS A VOICE VOTE, MR. HARRISON, OR
25 NOT?

BARRISTERS' REPORTING SERVICE

1 MR. HARRISON: YES. IT'S A VOICE VOTE.
2 IF WE COULD JUST GET A CLARIFICATION OF WHO THE
3 SECOND WAS.

4 MR. ROTH: I SECONDED.

5 CHAIRMAN THOMAS: THE FRESHLY ENERGIZED
6 DUANE ROTH.

7 MR. ROTH: I WAS REALLY OUT WATCHING THE
8 DEMOCRATIC CONVENTION.

9 CHAIRMAN THOMAS: ALL THOSE IN FAVOR
10 PLEASE SAY AYE. OPPOSED? ABSTAIN? MOTION CARRIES.

11 LADIES AND GENTLEMEN, THANK YOU FOR
12 BEARING WITH US. WE WILL ADJOURN FOR THE MOMENT,
13 RECONVENE AT 9 O'CLOCK TOMORROW MORNING SHARP.
14 THANK YOU.

15 (THE MEETING WAS THEN CONCLUDED FOR
16 THE EVENING AT 09:55 P.M.)

17
18
19
20
21
22
23
24
25

BARRISTERS' REPORTING SERVICE

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

CROWNE PLAZA HOTEL
1177 AIRPORT BOULEVARD
BURLINGAME, CALIFORNIA
ON
SEPTEMBER 5, 2012

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152
BARRISTER'S REPORTING SERVICE
160 S. OLD SPRINGS ROAD
SUITE 270
ANAHEIM, CALIFORNIA
(714) 444-4100