

BEFORE THE  
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE  
TO THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE  
ORGANIZED PURSUANT TO THE  
CALIFORNIA STEM CELL RESEARCH AND CURES ACT  
REGULAR MEETING

LOCATION: LUXE HOTEL SUNSET BOULEVARD  
11461 SUNSET BOULEVARD  
LOS ANGELES, CALIFORNIA

DATE: OCTOBER 10, 2006  
5 P.M.

REPORTER: BETH C. DRAIN, CSR  
CSR. NO. 7152

BRS FILE NO.: 76331

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

I N D E X

ITEM	DESCRIPTION	PAGE NO.
CALL TO ORDER		3
ROLL CALL		3
OPENING REMARKS		5
CONSIDERATION OF DRAFT CIRM SCIENTIFIC STRATEGIC PLAN		6
PUBLIC COMMENT		68
ADJOURNMENT		76

1 LOS ANGELES, CALIFORNIA; TUESDAY, OCTOBER 10, 2006

2

3 CHAIRMAN KLEIN: I'D LIKE TO CALL THE MEETING  
4 TO ORDER. WE HAVE A NUMBER OF BOARD MEMBERS IN  
5 TRANSIT, BUT WE HAVE A BUSY AGENDA, SO WE'D LIKE TO  
6 BEGIN. WE NEED TO RECRUIT OUR LEGAL COUNSEL, SCOTT  
7 TOCHER, TO JOIN THE AUDIENCE. OKAY. I WOULD LIKE TO  
8 START THIS EVENING WITH OUR ROLL CALL. WE HAVE SOME  
9 EXTRAORDINARY STRATEGIC PLAN REVIEW THIS EVENING. WE  
10 HAVE A NEW BOARD MEMBER, BUT LET US BEGIN FORMALLY WITH  
11 MELISSA KING CALLING THE ROLL.

12 MS. KING: RICARDO AZZIZ.

13 DR. AZZIZ: PRESENT.

14 MS. KING: DAVID BALTIMORE. ROBERT PRICE FOR  
15 ROBERT BIRGENEAU. SUSAN BRYANT.

16 DR. BRYANT: HERE.

17 MS. KING: MARCY FEIT.

18 MS. FEIT: HERE.

19 MS. KING: MICHAEL FRIEDMAN.

20 DR. FRIEDMAN: HERE.

21 MS. KING: MICHAEL GOLDBERG. BRIAN  
22 HENDERSON. ED HOLMES. DAVID KESSLER. BOB KLEIN.

23 CHAIRMAN KLEIN: HERE.

24 MS. KING: SHERRY LANSING. GERALD LEVEY.  
25 TED LOVE.

1 DR. LOVE: HERE.

2 MS. KING: RICH MURPHY. TINA NOVA. ED  
3 PENHOET.

4 DR. PENHOET: HERE.

5 MS. KING: PHIL PIZZO. CLAIRE POMEROY.

6 DR. POMEROY: HERE.

7 MS. KING: FRANCISCO PRIETO.

8 DR. PRIETO: HERE.

9 MS. KING: JEANNIE FONTANA FOR JOHN REED.  
10 DUANE ROTH. JOAN SAMUELSON. DAVID SERRANO-SEWELL.  
11 JEFF SHEEHY. JONATHAN SHESTACK. OSWALD STEWARD. LEON  
12 THAL.

13 DR. THAL: HERE.

14 MS. KING: JANET WRIGHT.

15 DR. WRIGHT: HERE.

16 CHAIRMAN KLEIN: THANK YOU VERY MUCH,  
17 MELISSA. ARE YOU GOING TO LEAD US IN THE PLEDGE OF  
18 ALLEGIANCE?

19 MS. KING: YES, I WILL. THE FLAG IS BY THE  
20 SCREEN. PLEASE STAND IF YOU ARE ABLE.

21 (THE PLEDGE OF ALLEGIANCE.)

22 CHAIRMAN KLEIN: IN A MOMENT WE WILL START A  
23 VERY EXCITING AND IMPORTANT, CRITICAL MEETING IN OUR  
24 EVOLUTION AND GROWTH AS AN AGENCY WHEN WE FOCUS ON THE  
25 STRATEGIC PLAN. BUT FIRST I'D LIKE YOU TO KNOW THAT

1 THE GOVERNOR, WHEN HE DECIDED TO ADVANCE THE \$150  
2 MILLION, ACTUALLY GAVE US MORE THAN 150 MILLION. THIS  
3 IS A VERY EXCITING MOMENT FOR US BECAUSE HE ALSO GAVE  
4 US A NEW BOARD MEMBER. OUR NEW BOARD MEMBER IS TO MY  
5 RIGHT, DR. RICARDO AZZIZ. HE'S APPOINTED BY THE  
6 GOVERNOR. HE IS THE CHAIRMAN OF THE DEPARTMENT OF  
7 OBSTETRICS AND GYNECOLOGY AT CEDARS-SINAI MEDICAL  
8 CENTER, ALSO SERVES AS PROFESSOR AT THE DAVID GEFEN  
9 SCHOOL OF MEDICINE AT UCLA AND VICE CHAIR OF THE  
10 DEPARTMENT OF OBSTETRICS AND GYNECOLOGY AT UCLA.

11 DR. AZZIZ RECEIVED HIS B.A. FROM THE  
12 UNIVERSITY OF PUERTO RICO AT MAYAGUEZ AND HIS MEDICAL  
13 DEGREE FROM PENN STATE UNIVERSITY COLLEGE OF MEDICINE,  
14 COMPLETED HIS RESIDENCY AT GEORGETOWN UNIVERSITY  
15 HOSPITAL AND A FELLOWSHIP IN REPRODUCTIVE ENDOCRINOLOGY  
16 AND INFERTILITY AT JOHN HOPKINS HOSPITAL.

17 IN ADDITION, DR. AZZIZ EARNED A MASTER'S OF  
18 PUBLIC HEALTH AND MASTER'S OF BUSINESS ADMINISTRATION  
19 FROM THE UNIVERSITY OF ALABAMA AT BIRMINGHAM, ALABAMA.

20 SO AS AN EXTREMELY DISTINGUISHED MEMBER OF  
21 OUR BOARD, WE'D LIKE TO WELCOME DR. AZZIZ.

22 (APPLAUSE.)

23 CHAIRMAN KLEIN: WE HAVE FOR THIS EVENING AN  
24 EXCELLENT DRAFT OF THE STRATEGIC PLAN BEFORE YOU. I  
25 WILL BE CALLING ON DR. HALL TO PRESENT THE DRAFT AND

1 LEAD US THROUGH THE EVENING. I'D LIKE TO ALSO  
2 ACKNOWLEDGE FROM THE BOARD THAT DR. ARLENE CHIU,  
3 PATRICIA OLSON, GIL SAMBRANO, DR. MARY MAXON, KATE  
4 SHREVE, AMY LEWIS ON THE FINANCIAL PORTION, AND OUR  
5 FRIENDS AT PRICE WATERHOUSE, INCLUDING, I THINK, JERRY  
6 IS HERE AS WELL IN THE FRONT ROW AS WELL AS TONY  
7 POLARI, WHO HAS DONE YEOMAN'S WORK AS A MEMBER OF  
8 ZACH'S STRATEGIC PLAN -- AS A MEMBER OF THE STRATEGIC  
9 PLAN TEAM. WE OWE A TREMENDOUS DEBT TO THEM AND FOR  
10 ZACH'S LEADING US THROUGH THAT EFFORT. SO I'D LIKE TO  
11 OPEN THIS WITH A ROUND ARE APPLAUSE FOR THAT TEAM.

12 (APPLAUSE.)

13 CHAIRMAN KLEIN: WE HAVE SEVERAL MEMBERS OF  
14 THE STRATEGIC PLANNING ADVISORY COUNCIL HERE TONIGHT,  
15 INCLUDING ICOC MEMBERS AND INTERVIEWEES. I WOULD THINK  
16 THAT DURING THE NIGHT, DR. HALL MIGHT ACKNOWLEDGE SOME  
17 OF THOSE MEMBERS AS HE GOES THROUGH THE PLAN, BUT WE  
18 CERTAINLY APPRECIATE ALL OF THOSE INDIVIDUAL  
19 CONTRIBUTIONS. DR. HALL, THE FLOOR IS YOURS.

20 DR. HALL: THANK YOU, MR. CHAIRMAN. THIS IS  
21 A VERY EXCITING MOMENT FOR US, A BIG MOMENT, WHEN WE  
22 PRESENT THE STRATEGIC PLAN. AND IT IS THE CULMINATION  
23 OF A YEAR'S WORK. IF YOU REMEMBER, WE STARTED A LITTLE  
24 OVER A YEAR AGO. OCTOBER 1ST AND 2D WE HAD OUR MEETING  
25 ON STEM CELL RESEARCH IN CALIFORNIA, CHARTING NEW

1 DIRECTIONS, IN WHICH WE INVITED PEOPLE FROM ALL OVER  
2 THE WORLD TO COME IN AND TELL US WHAT THE OPPORTUNITIES  
3 AND CHALLENGES WERE AND TO MAKE RECOMMENDATIONS FOR  
4 WHAT WE MIGHT DO. AND THAT WAS THE BEGINNING OF A LONG  
5 FACT-FINDING PROCESS THAT WE ENGAGED IN.

6 IN APRIL 2006 I PRESENTED A PLAN FOR A PLAN  
7 TO OUTLINE HOW WE WERE GOING TO DO THIS, AND THEN  
8 SHORTLY THEREAFTER WE ENGAGED PRICE WATERHOUSE COOPERS  
9 AS CONSULTANTS.

10 SO IN THE PROCESS WE HAVE INTERVIEWED OVER 70  
11 SCIENTISTS, CLINICIANS, ETHICISTS, PATIENT ADVOCATES,  
12 PUBLIC INTEREST REPRESENTATIVES, AN INTERNATIONAL GROUP  
13 FROM THE UNITED STATES AND ABROAD. WE HELD THREE  
14 PUBLIC MEETINGS FOR THE ICOC AND THE PUBLIC. WE HAD  
15 TWO FOCUS GROUPS, ONE FOR PATIENT ADVOCATES AND ONE ON  
16 DIVERSITY, AND WE HAD TWO ICOC MEETINGS THAT WERE  
17 FOCUSED ON OUR MISSION STATEMENT, OUR VALUES, AND OUR  
18 STRATEGIC PRINCIPLES. WE ALSO HAD SEVEN STRATEGIC PLAN  
19 ADVISORY COMMITTEES. THIS WAS AN EXCELLENT GROUP IN  
20 WHICH WE WERE ABLE TO AIR A NUMBER OF ISSUES, AND THE  
21 NEXT SLIDE SHOWS THE MEMBERS OF THAT GROUP. AND I WANT  
22 TO THANK ACTUALLY ALL THE PARTICIPANTS IN THE PLAN.

23 THE STRATEGIC PLAN ADVISORY COMMITTEE: DAVID  
24 BALTIMORE, PAUL BERG, GEORGE DALY, STEVE FOREMAN,  
25 SHERRY LANSING, BOB KLEIN, ED PENHOET, BILL RASTETTER,

1 PAST CEO OF BIOGEN IDEC, AND JEFF SHEEHY. IN ADDITION  
2 TO THESE, I WANT TO THANK MANY OF YOU WHO WERE  
3 INTERVIEWED. YOU PARTICIPATED IN THE MEETINGS. YOU  
4 ATTENDED THEM. AND WE REALLY APPRECIATE YOUR  
5 PARTICIPATION. WE APPRECIATE THE PARTICIPATION OF  
6 MEMBERS OF THE PUBLIC, MANY OF WHOM BECAME ALMOST AS  
7 EXPERT IN THE DETAILS OF THIS AS WE DID, BUT MADE  
8 VALUABLE CONTRIBUTIONS ALL THE WAY THROUGH.

9 SO WHAT YOU'RE GOING TO HEAR TONIGHT IS THE  
10 WORK OF A LARGE NUMBER OF PEOPLE. WE CALCULATE THAT,  
11 IN TERMS OF SPEAKERS AND INTERVIEWEES AND PEOPLE THAT  
12 WE TALKED TO DIRECTLY, OVER 200 PEOPLE WERE INVOLVED,  
13 AND THEN, OF COURSE, MANY OTHERS AS PARTICIPANTS IN THE  
14 AUDIENCE AND IN THE PUBLIC. SO THIS IS A TREMENDOUS  
15 GROUP EFFORT FOR EVERYBODY CONCERNED.

16 I WANT TO JUST ECHO WHAT BOB SAID. THE PLAN  
17 REALLY IS A RESULT FROM CIRM OF A VERY DEDICATED AND  
18 TALENTED GROUP, AND THE LEADERS OF THIS GROUP ARE  
19 FANTASTIC, ABSOLUTELY FANTASTIC. PATRICIA OLSON,  
20 SITTING ON MY RIGHT, AND TONY POLARI ON MY LEFT, AND WE  
21 COULD NOT HAVE DONE IT WITHOUT THEM. THEY DID AN  
22 ABSOLUTELY SUPER JOB. THEY WERE BACKED UP BY RAY  
23 ANDERSON OF PWC, ARLENE, GIL, MARY, AMY LEWIS, KATE  
24 SHREVE, PAT BECKER, CHRISTINE WOO OF PWC, AND THEN WE  
25 HAVE JERRY MCGOUGALL, WHO'S HERE WITH US TONIGHT WHO'S



1 THE HEAD OF HEALTH SCIENCES AT PRICE WATERHOUSE  
2 COOPERS, WHO GAVE US VALUABLE ADVICE AND GUIDANCE, AND  
3 ALSO BILL DRACOS, WHO'S NOT HERE TONIGHT, BUT ALSO  
4 PARTICIPATED. SO THIS IS THE TEAM, AND I WOULD LIKE TO  
5 GIVE THEM ANOTHER ROUND OF APPLAUSE.

6 (APPLAUSE.)

7 DR. HALL: I THINK IF YOU LOOK AT THIS, YOU  
8 WILL RECOGNIZE IT REPRESENTS A TREMENDOUS AMOUNT OF  
9 WORK BY THIS GROUP.

10 SO THE RESULT IS IN FRONT OF YOU. AND WE  
11 HAVE AN EXECUTIVE SUMMARY, THE BODY OF THE REPORT, AND  
12 THE APPENDICES. AND WE'RE GOING TO FOCUS TONIGHT ON  
13 THE BODY OF THE REPORT. EXECUTIVE SUMMARY IS, WE HOPE,  
14 A CONCISE AND USEFUL SUMMARY, BUT TO GET THE FULL  
15 FLAVOR OF IT, I THINK, IF NOT EVERY WORD, YOU WANT TO  
16 READ AROUND IN THE BODY OF THE REPORT. AND FOR THOSE  
17 WHO ARE INTERESTED IN PARTICULAR ASPECTS OF IT, THE  
18 APPENDICES, PARTICULARLY ONE THAT PAT OLSON DID ON  
19 LOOKING AT INDUSTRY STANDARDS FOR DEVELOPMENT OF  
20 THERAPEUTICS AND WORK THAT AMY LEWIS DID ON OUR  
21 FINANCIAL BUSINESS PLAN, BOTH OF THOSE I WOULD  
22 RECOMMEND TO YOU AS YOU LOOK THROUGH IT.

23 SO OUR INTENT HERE TONIGHT IS TO PRESENT IT  
24 TO YOU IN, FIRST, A GENERAL WAY, AND THEN WE CAN TALK  
25 ABOUT SPECIFICS AS YOU WISH. BUT WE REALLY WANT TO

1 HEAR FROM YOU ABOUT YOUR SENSE OF THE OBJECTIVES, THE  
2 GENERAL DIRECTION, THE EMPHASIS. IS IT LARGELY RIGHT?  
3 AND I THINK THAT IS WHAT WE REALLY NEED TO HEAR, AND  
4 THEN WE CAN WORK ON THE DETAILS LATER. ALMOST  
5 EVERYTHING THAT'S SPECIFIC WILL COME UP SEPARATELY TO  
6 THE ICOC. WE'RE GLAD TO HAVE SUGGESTIONS NOW, AND  
7 WE'LL CHANGE THEM NOW, BUT I THINK THE MAIN POINT IS TO  
8 MAKE SURE THAT THE THRUST OF THIS IS IN THE RIGHT  
9 DIRECTION AND THAT IT COVERS THE GROUND THAT WE WANT IT  
10 TO COVER. AND I WOULD ASK FOR YOUR THOUGHTS ON THAT  
11 FIRST AND FOREMOST TONIGHT.

12 WE WILL ALSO RECEIVE INPUT FROM OTHERS, AND  
13 THEN WE WILL SPEND OVER THE NEXT TWO MONTHS MODIFYING  
14 IT, AND THEN WE WILL BRING IT BACK TO YOU FOR WHAT WE  
15 HOPE WILL BE FINAL APPROVAL IN DECEMBER. AND I'LL TALK  
16 LATER ABOUT AT LEAST ONE OTHER MAJOR SECTION THAT WE  
17 WILL ADD, AND THEN BOB AND I WILL PUT OUR VALEDICTORIES  
18 IN THE FRONT OF IT TOWARD THE END WHEN EVERYTHING IS  
19 FINISHED AS WELL.

20 SO LET'S MOVE ON IN THEN, AND WHAT I WOULD  
21 LIKE TO DO ACTUALLY IS NOT WALK YOU THROUGH STEP BY  
22 STEP BY STEP, BUT SKIP OVER SOME OF THE MATERIAL AT THE  
23 BEGINNING PARTIALLY BECAUSE YOU HAVE DEALT WITH IT  
24 ALREADY. THIS IS THE MISSION STATEMENT, THE VALUES,  
25 THE STRATEGIC PRINCIPLES. WE'VE SPENT PREVIOUS

1 EVENINGS LIKE THIS DISCUSSING THOSE, AND I DON'T WISH  
2 TO GO INTO DETAIL WITH THOSE RIGHT NOW ALTHOUGH WE  
3 WOULD BE HAPPY TO DISCUSS THEM LATER AND COULD COME  
4 BACK TO THEM LATER. AND I WOULD POINT OUT THAT WE'VE  
5 ALSO ADDED A SERIES OF CHALLENGES AND OPPORTUNITIES,  
6 WHICH ALSO WE CAN COME BACK AND DISCUSS WITH YOU LATER.

7 BUT WHAT I WOULD LIKE TO DO IS GO STRAIGHT TO  
8 THE HEART OF THE PLAN, WHICH REALLY ARE THE STRATEGIC  
9 OBJECTIVES AND GOALS. THAT, FOR US, WAS THE KEY AND  
10 THE MOST IMPORTANT PART. ONCE THAT IS SET -- IT'S THE  
11 CAPSTONE IN A SENSE. ONCE THAT'S SET, THEN EVERYTHING  
12 ELSE CAN BE ATTUNED TO IT, BUILT AROUND IT, DIRECTED  
13 TOWARD IT, BUT THAT WAS ONE OF THE MOST DIFFICULT  
14 CHALLENGES WE FACED. AND I WANT TO SPEND A LITTLE TIME  
15 ON THAT.

16 SO LET ME MAKE SOME GENERAL COMMENTS FIRST.  
17 WE WANTED THE GOALS TO BE VISIONARY, BUT WE ALSO WANTED  
18 TO BE SPECIFIC. WE LOOKED AT A NUMBER OF STRATEGIC  
19 PLANS FROM A NUMBER OF OTHER ORGANIZATIONS. AND I HAVE  
20 TO TELL YOU AN AWFUL LOT OF THEM OUTLINE VERY, VERY  
21 GENERAL PRINCIPLES AND SAY WHAT THEY'RE GOING TO DO IN  
22 BROAD STROKES, AND THEY DON'T TELL YOU REALLY WHAT  
23 THEY'RE GOING TO DO AND HOW THEY'RE GOING TO GO ABOUT  
24 IT AND HOW THEY'RE GOING TO ACHIEVE IT. SO WE WANTED  
25 TO KEEP THE VISION IN FRONT OF US, BUT WE ALSO WANTED

1 IT TO BE VERY SPECIFIC.

2 AND WE THEN CAME UP WITH THIS IDEA, WHICH  
3 ACTUALLY I WILL GIVE CREDIT TO PATRICIA OLSON TO, OF  
4 ARTICULATING TWO KINDS OF GOALS, ASPIRATIONAL GOALS;  
5 THAT IS, WHAT WE DREAM TO ACHIEVE, AND THE COMMITMENT  
6 GOALS. NOW, THE ASPIRATIONAL GOALS ARE THE VISIONARY  
7 ONES. IT'S WHY WE'RE ALL HERE. WE WANT TO CURE  
8 DISEASE, AND WE WANT CALIFORNIA TO BE A WORLDWIDE  
9 LEADER IN STEM CELL RESEARCH. AND THOSE ARE EXTREMELY  
10 LARGE, AMBITIOUS GOALS, NO LESS PASSION ON OUR PART,  
11 BUT WE ALSO WANTED TO HAVE SOME GOALS THAT WE COULD  
12 COMMIT TO AS BEING REASONABLE OVER THE TEN-YEAR  
13 TIMEFRAME OF THIS PLAN. AND THE POINT OF THAT IS  
14 REALLY TO HAVE SOMETHING FOR WHICH WE CAN BE HELD  
15 ACCOUNTABLE. AND THIS IS OUR PROMISE, IF YOU WILL, OUR  
16 COVENANT WITH THE PEOPLE OF CALIFORNIA, THAT OVER THE  
17 NEXT TEN YEARS, THESE ARE THE GOALS THAT WE BELIEVE WE  
18 CAN ACHIEVE. WITH A LITTLE BIT OF LUCK, WE THINK WE  
19 CAN DO THIS, AND WE CAN MAKE THE PROMISE OF STEM CELL  
20 RESEARCH A REALITY, AS WE SAY HERE.

21 AND SO THESE ARE OUR BENCHMARK AIMS. THIS IS  
22 WHAT WE AGREE TO BE MEASURED BY. THIS IS WHAT WE WILL  
23 WORK TOWARD. AND IF WE ARE TO WORK IN A SYSTEMATIC AND  
24 CAREFUL WAY, WE NEED THESE VERY, VERY SPECIFIC AIMS,  
25 AND WE NEED TO SET FOR OURSELVES GOALS THAT ARE

1     AMBITIOUS, BUT THAT WE BELIEVE ARE ACHIEVABLE. IN THAT  
2     WAY WE CAN MEASURE OUR PROGRESS AS WE WORK TOWARD THEM.

3             SO WE THEN SET OUT, FIRST OF ALL, OUR  
4     TEN-YEAR GOALS. THAT IS, I MADE THIS POINT BEFORE, BUT  
5     LET ME EMPHASIZE TO EVERYBODY, STEM CELL RESEARCH IS  
6     GOING TO GO ON FOR SEVERAL DECADES. AND DURING THAT  
7     PERIOD OF TIME, MORE AND MORE AND MORE DISEASES WILL BE  
8     TREATED THROUGH STEM CELL THERAPY. THERE WILL BE MORE  
9     ADVANCES IN BASIC SCIENCE. WE WILL HAVE STEM CELLS  
10    USED FOR THINGS WE DON'T EVEN KNOW ABOUT YET, AND THEY  
11    WILL ENLIGHTEN AREAS OF BIOLOGY THAT WE HAVEN'T YET  
12    UNDERSTOOD WE EVEN DIDN'T KNOW ABOUT. THAT DIDN'T COME  
13    OUT QUITE RIGHT, BUT I THINK YOU KNOW WHAT I MEAN.  
14    THERE'S A LOT TO BE DISCOVERED OUT THERE. AND THE  
15    TEN-YEAR TIMEFRAME THAT WE PUT ON THIS IS A SORT OF  
16    SLICE. THAT IS, THE WORK IS GOING TO CONTINUE, AND  
17    WE'RE GOING TO STOP TIME AT ONE MOMENT AND SAY, OKAY.  
18    AT THAT MOMENT WHERE DO WE EXPECT TO BE ON ALL THESE  
19    NUMBER OF PROJECTS THAT WE WILL BE WORKING ON?

20            ONE DECISION WE MADE AT THE BEGINNING WAS TO  
21    FOCUS LARGELY ON HUMAN EMBRYONIC STEM CELLS. WE WILL  
22    BE FUNDING RESEARCH FOR OTHER KINDS OF STEM CELLS,  
23    FETAL STEM CELLS, ADULT STEM CELLS, CORD BLOOD CELLS,  
24    AND WE WILL BE FUNDING RESEARCH ON STEM CELLS FROM  
25    OTHER SPECIES BECAUSE WE'VE GAINED IMPORTANT INSIGHTS

1 FROM THAT. BUT THE CENTRAL THEME, WHAT PROPOSITION 71  
2 IS ALL ABOUT, IS PLURIPOTENTIAL HUMAN STEM CELLS. AND  
3 WE THOUGHT THAT SHOULD BE THE CENTERPIECE OF OUR PLAN.

4 THEN THE OTHER ISSUE IS THAT WE ALSO HAVE  
5 MADE A FOCUS ON CELL REPLACEMENT THERAPY. THAT IS OUR  
6 EMPHASIS, ALTHOUGH NOT EXCLUSIVELY. WE BELIEVE THIS  
7 WILL BE THE BIGGEST CHALLENGE FACING US. HUMAN  
8 EMBRYONIC STEM CELLS, WE BELIEVE, WILL BE IMPORTANT  
9 TOOLS FOR DISEASE RESEARCH AND DRUG DISCOVERY, BUT THE  
10 PATHWAYS AND CHALLENGES ARE RELATIVELY WELL-KNOWN  
11 THERE. SO WHAT WE HAVE FOCUSED ON, NOT EXCLUSIVELY, AS  
12 YOU WILL SEE AS WE GO THROUGH THESE, BUT WE'VE GIVEN A  
13 STRONG EMPHASIS TO CELL REPLACEMENT THERAPY BECAUSE  
14 THIS IS THE CHALLENGE AND THE DREAM.

15 SO WE THEN SET TEN-YEAR GOALS AND SET  
16 FIVE-YEAR GOALS AS MILESTONES AGAINST WHICH TO MEASURE  
17 PROGRESS, BUT I WANT TO STOP FOR A MOMENT AND TELL YOU  
18 A LITTLE BIT ABOUT THE PROCESS WE WENT THROUGH BECAUSE  
19 WHAT WE THOUGHT ABOUT OR THE WAY WE WENT ABOUT THIS IS  
20 TO SAY IF WE WANT TO ACHIEVE A GOAL OF HAVING THERAPIES  
21 BASED ON STEM CELL RESEARCH AND WIDESPREAD CLINICAL  
22 USE, WHAT HAS BEEN THE EXPERIENCE IN DEVELOPING OTHER  
23 KINDS OF THERAPEUTICS? THE PHARMACEUTICAL INDUSTRY NOW  
24 HAS A LOT OF EXPERIENCE DEVELOPING SMALL MOLECULE  
25 THERAPEUTICS AND NOW BIOLOGICALS. AND WE WERE ABLE TO

1 DRAW ON THAT EXPERIENCE IN THINKING HOW THE COURSE OF  
2 DEVELOPING STEM CELLS AS THERAPIES MIGHT GO.

3 I THINK THE NEXT SLIDE WILL ILLUSTRATE, THEN,  
4 A KIND OF ARROW, WHICH IS VERY COMMON IN THE INDUSTRY,  
5 AND IT DEFINES FOUR STAGES. AND MOVING FROM LEFT TO  
6 RIGHT, THAT IS, IN MOVING FROM BASIC AND DISCOVERY  
7 RESEARCH IN WHICH ONE IS CARRYING OUT SORT OF CURIOSITY  
8 DRIVEN RESEARCH, TRYING TO UNDERSTAND THE SYSTEM,  
9 TRYING TO UNDERSTAND THE PRINCIPLES, THEN MOVING INTO  
10 TAKING WHAT ONE LEARNS IN DISCOVERY AND BASIC RESEARCH  
11 AND APPLYING IT TO SPECIFIC DISEASES AND TRYING TO  
12 THINK ABOUT THERAPEUTIC APPROACHES TO THOSE. AND THEN  
13 AT SOME STAGE, AND HERE WE MIGHT IMAGINE IN TERMS OF  
14 STEM CELLS, ONE WOULD TRY A NUMBER OF THINGS IN ANIMAL  
15 SYSTEMS, AND AT SOME STAGE YOU WOULD SAY WE THINK WE  
16 HAVE NOW A THERAPEUTIC CANDIDATE. THIS IS A REAL  
17 BENCHMARK IN THE WHOLE PROCESS BECAUSE WHAT THAT MEANS  
18 IS YOU'RE NOW PREPARED TO INVEST A LOT OF TIME AND  
19 MONEY INTO DOING ALL THE THINGS NECESSARY TO GET FDA  
20 APPROVAL TO USE THAT THERAPEUTIC CANDIDATE IN TRIALS IN  
21 PATIENTS.

22 AND SO THAT IS -- I THINK IT'S AN AREA THAT'S  
23 NOT VERY WELL APPRECIATED BY MOST ACADEMICS, CERTAINLY  
24 MY OWN UNDERSTANDING OF IT WAS DEFICIENT, BUT IT IS  
25 EXTREMELY IMPORTANT. AND BASICALLY IT IS TO ESTABLISH

1 THAT IF YOU HAVE A THERAPEUTIC, YOU HAVE TO  
2 CHARACTERIZE IT, YOU HAVE TO UNDERSTAND ITS PURITY, YOU  
3 HAVE TO SHOW THAT YOU CAN PRODUCE IT IN LARGE ENOUGH  
4 AMOUNTS, AND THAT YOU CAN REPRODUCIBLY DO SO WITH  
5 REPRODUCIBLE STANDARDS OF PURITY FROM BATCH TO BATCH,  
6 YOU HAVE TO SHOW THAT IT IS EFFICACIOUS AND CELLULAR IN  
7 ANIMAL MODEL SYSTEMS, AND VERY IMPORTANTLY, YOU HAVE TO  
8 SHOW THAT IT IS SAFE. AND SO ALL OF THESE THINGS WILL  
9 BE IMPORTANT, AND YOU PUT A LOT OF MONEY INTO THIS  
10 PARTICULAR AREA.

11 THEN IF YOU GET APPROVAL FOR AN  
12 INVESTIGATIONAL NEW DRUG FROM THE FDA, WE CAN THEN GO  
13 AHEAD AND DESIGN CLINICAL TRIALS, AND WE'LL COME BACK  
14 LATER TO LOOKING AT THE PHASE I, PHASE II, PHASE III  
15 CLINICAL TRIALS. IT'S IN THE BOTTOM PART HERE. LET ME  
16 JUST REMIND YOU THAT THE PHASE I TRIALS ARE RELATIVELY  
17 SMALL, AND THEIR PRIMARY AIM IS TO TEST SAFETY. OFTEN  
18 SEVERAL DOSES ARE GIVEN TO SEE IF THERE IS ANY SIDE  
19 EFFECTS OR UNTOWARD EFFECTS OF WHATEVER THE THERAPEUTIC  
20 IS. THEN WITH A LARGER GROUP OF PATIENTS, YOU THEN  
21 CARRY OUT STUDIES IN WHICH YOU'RE STILL INTERESTED IN  
22 ISSUES OF SAFETY, ISSUES OF DOSE, REGIMEN, DELIVERY  
23 MAYBE TESTED, BUT WHAT YOU ARE REALLY LOOKING FOR IS  
24 SOME SIGNAL OF EFFICACY AT THIS POINT. AND IT'S DURING  
25 THIS PHASE THAT MANY CANDIDATES FALL OUT. AND THEN



1 FINALLY, TO GIVE STATISTICAL PROOF OF EFFICACY; THAT  
2 IS, TO HAVE A LARGE ENOUGH NUMBER OF PATIENTS SO THAT  
3 YOU CAN SAY THAT THE POWER OF YOUR STATISTICS WILL LET  
4 YOU SAY WITH 95 PERCENT CERTAINTY THAT YOU HAVE  
5 OBSERVED A BENEFICIAL EFFECT OF THE THERAPY AND,  
6 COMPARED AGAINST OTHERS, THIS IS THE PURPOSE OF THE  
7 PHASE III TRIALS.

8 NOW, BOTH THE NUMBERS OF PATIENTS AND THE  
9 EXPENSE AND THE TIME IN PART GOES UP AS THESE GET MORE  
10 AND MORE COMPLEX.

11 NOW, THE FIGURES THAT WE LEARNED FROM SMALL  
12 MOLECULE AND BIOLOGICAL THERAPEUTIC DEVELOPMENT, WHICH  
13 ARE QUITE COMMON IN THE PHARMACEUTICAL INDUSTRY. THOSE  
14 OF YOU WHO HAVE HAD EXPERIENCE WITH THIS, TED LOVE AND  
15 OTHERS, THESE IDEAS WILL BE VERY FAMILIAR. BUT THE  
16 POINT IS FROM THE START OF CLINICAL DEVELOPMENT -- NOW,  
17 THIS IS FROM THE START OF YOUR FIRST CLINICAL TRIALS,  
18 NOT PRECLINICAL DEVELOPMENT, FROM THE START OF CLINICAL  
19 DEVELOPMENT, IT'S ON AVERAGE SEVEN TO NINE YEARS TO GET  
20 A DRUG APPROVED FOR USE IN THE MARKET. AND THIS WAS  
21 VERY IMPORTANT BECAUSE WHAT IT TOLD US WAS THAT IT WAS  
22 UNLIKELY THAT THROUGH WORK SPONSORED BY US, STARTING  
23 WITH THE BASIC RESEARCH THROUGH PRECLINICAL RESEARCH,  
24 PRECLINICAL DEVELOPMENT ON THROUGH CLINICAL RESEARCH,  
25 WE WILL BE VERY UNLIKELY, WE MAY BE LUCKY, BUT IT WILL

1 BE VERY DIFFICULT IN THAT TIME SPAN TO BRING A THERAPY  
2 TO MARKET.

3 NOW, THE OTHER KEY POINT IS THAT THERE IS  
4 ATTRITION AT EVERY STAGE OF DEVELOPMENT. SOME  
5 COMPOUNDS OR BIOLOGICALS FALL OUT IN PRECLINICAL  
6 DEVELOPMENT. BUT IF YOU LOOK AT THE ONES THAT ENTER  
7 CLINICAL DEVELOPMENT, IT TAKES EIGHT OR TEN GOING INTO  
8 PHASE I TRIALS IN ORDER TO GET ONE THAT IS APPROVED FOR  
9 THE MARKET. SO THEY DO NOT SURVIVE, AND THIS IS PART  
10 OF THE REASON THAT DEVELOPING THERAPEUTICS IS SUCH HIGH  
11 COST. I'M SURE YOU'VE ALL HEARD THE FIGURES, 800 TO A  
12 BILLION DOLLARS. AND PART OF THE POINT THERE IS IT  
13 INCLUDES A LARGE NUMBER OF FAILURES THAT ARE INEVITABLE  
14 AND THAT YOU CAN'T PREDICT. OF COURSE, MUCH OF THIS,  
15 AS I HAVE SAID BEFORE, IS TRYING TO DECIDE -- MUCH OF  
16 THE INDUSTRY IS TRYING TO DECIDE AT ANY ONE POINT WHICH  
17 ARE THE BEST PRODUCTS TO TAKE INTO THE NEXT PHASE. AND  
18 EARLY EVIDENCE OF FAILURE IS SOMETHING PEOPLE LOOK FOR  
19 VERY MUCH.

20 SO TO BRING THIS BACK TO OUR OWN SITUATION,  
21 THEN, WHAT WE NEED IS A STRONG PIPELINE THAT WILL  
22 CONTINUE TO BRING PRODUCTS INTO THE CLINIC PAST THE  
23 TEN-YEAR PERIOD OF THE PLAN. AND WE CAN EXPECT THAT WE  
24 WILL HAVE TO BRING MANY TO THE CLINIC IN ORDER TO GET A  
25 FEW THROUGH AT THE END. AND I THINK THAT'S JUST VERY

1     IMPORTANT, AND THIS WAS IMPORTANT FOR OUR STRATEGIC  
2     THINKING IN THIS.

3             THE OTHER POINT I WANTED TO MAKE IS THAT WE  
4     WERE IMPRESSED THAT HUMAN EMBRYONIC STEM CELL RESEARCH  
5     IS A YOUNG FIELD. HUMAN EMBRYONIC STEM CELLS WERE  
6     FIRST DESCRIBED EIGHT YEARS AGO, AND THERE'S THIS  
7     ASTONISHING FIGURE, THAT BY THE END OF 2004, THERE WERE  
8     IN THE WORLD LITERATURE ONLY A 132 PUBLICATIONS ON  
9     HUMAN EMBRYONIC STEM CELLS FROM 97 DIFFERENT  
10    INSTITUTIONS, HALF OF WHOM WERE IN OTHER COUNTRIES. SO  
11    OVER THE WORLD, THAT IS A DROP IN THE BUCKET IN TERMS  
12    OF THE SCIENTIFIC LITERATURE. AND SO IT UNDERLINES --  
13    NOW, OBVIOUSLY, THAT FIGURE IS VERY DIFFERENT. WE  
14    DON'T HAVE COMPARABLE FIGURES UP TO DATE. MANY, MANY  
15    MORE, I'M SURE THAT FIGURE IS DOUBLED AND MORE IN THAT  
16    PERIOD OF TIME, BUT THE POINT IS WE STILL HAVE A GREAT  
17    DEAL TO LEARN ABOUT HUMAN EMBRYONIC STEM CELLS. WE'RE  
18    STILL IN EARLY DAYS, AND ALMOST EVERYBODY WE TALK TO,  
19    DIDN'T MATTER, ACADEMIA, INDUSTRY, WHEREVER, EMPHASIZED  
20    THAT FACT, THAT THERE'S STILL A GREAT DEAL TO LEARN  
21    ABOUT THESE CELLS AND HOW THEY BEHAVE.

22            AND THE SECOND POINT IS THAT CELL REPLACEMENT  
23    THERAPY REPRESENTS IN MANY WAYS A NEW THERAPEUTIC  
24    MODALITY. ALTHOUGH THERE ARE CELLULAR THERAPIES, BONE  
25    Marrow TRANSPLANT, FETAL TRANSPLANTS, THAT THESE

1 INVOLVE, IN GENERAL, MINIMAL MANIPULATION. AT THE  
2 POINT IN WHICH YOU BEGIN MANIPULATING CELLS, THAT IS,  
3 AT WHICH YOU BEGIN DIFFERENTIATING THEM AND DOING OTHER  
4 THINGS, THEN THERE IS ALWAYS THE POSSIBILITY OF  
5 INTRODUCING VIRUSES OR INTRODUCING MUTATIONS OR  
6 WHATEVER. AND SO THE STANDARDS OF SAFETY, I WOULD SAY,  
7 AND HOW ONE WILL GO ABOUT THIS, I THINK, ARE SOMETHING  
8 THAT WILL HAVE TO BE WORKED OUT WITH THE FDA OVER THE  
9 YEARS. I DON'T THINK THEY KNOW, AND I DON'T THINK WE  
10 KNOW EXACTLY.

11 ED PENHOET AND I WERE AT THE INSTITUTE OF  
12 MEDICINE YESTERDAY FOR A SYMPOSIUM ON STEM CELLS, AND  
13 GEORGE DALY, WHO'S ON OUR SCIENTIFIC ADVISORY  
14 COMMITTEE, MADE A PLEA FOR PATIENT-SPECIFIC CELL LINES,  
15 WHICH HE THOUGHT WERE GOING TO BE THE THERAPY OF THE  
16 FUTURE. AND WE ENGAGED IN A DISCUSSION THAT I THINK WE  
17 DON'T KNOW THE ANSWER TO, AND THAT IS WHAT WOULD BE THE  
18 RULES FOR PRECLINICAL DEVELOPMENT FOR PATIENT-SPECIFIC  
19 CELL LINES? HOW MUCH WOULD YOU BE ABLE TO RELY ON A  
20 STANDARD PROCESS IN WHICH YOU COULD TAKE ANYBODY'S  
21 CELLS AND PUT IT THROUGH? OR WOULD YOU HAVE TO HAVE  
22 APPROVALS FOR EACH OF THOSE CELL LINES? AND I THINK  
23 THESE ARE ISSUES THAT WE DON'T YET REALLY KNOW AND WILL  
24 NEED TO THINK ABOUT AS WE GO FORWARD.

25 OKAY. SO WITH THAT BACKGROUND THEN, LET'S

1 GET TO THE HEART OF THE MATTER. AND IT SEEMED TO US  
2 THAT GOAL NO. 1 WAS THE MOST IMPORTANT GOAL OF THE  
3 PROJECT. AND THAT IS TO HAVE CLINICAL PROOF OF  
4 PRINCIPLE, THAT TRANSPLANTED CELLS DERIVED FROM  
5 PLURIPOTENT CELLS CAN BE USED TO RESTORE FUNCTION FOR  
6 AT LEAST ONE DISEASE.

7 NOW, WHAT THAT MEANS IS THAT WE NEED A SIGN  
8 OF EFFICACY. WE NEED SOME SENSE THAT IN A CLINICAL  
9 TRIAL THAT TRANSPLANTED CELLS DID WORK IN HUMANS TO  
10 RESTORE FUNCTION. AND WHAT THAT MEANS IS THAT WE NEED  
11 TO COMPLETE A PHASE II CLINICAL TRIAL FOR AT LEAST ONE  
12 DISEASE AND ONE THERAPY.

13 NOW, WE PRESENTED OUR TEN GOALS AT THE  
14 STRATEGIC PLAN ADVISORY COMMITTEE SEVERAL WEEKS AGO,  
15 AND WE HAD QUITE A LIVELY DISCUSSION ABOUT WAS THIS  
16 AMBITIOUS ENOUGH? WAS IT TOO AMBITIOUS? AND THERE WAS  
17 A LOT OF DISCUSSION BACK AND FORTH ABOUT THIS, AND IN  
18 GENERAL THE SEASONED VETERANS WHO HAD HAD SOMETHING TO  
19 DO WITH THIS SAID, WELL, MAYBE. OKAY. AND I THINK THE  
20 GENERAL CONSENSUS WAS THAT THIS IS AN AMBITIOUS, BUT  
21 ACHIEVABLE GOAL. AND IT IS A VERY, VERY IMPORTANT ONE  
22 BECAUSE I THINK IF WE HAVE THAT, THEN IT WILL ATTRACT  
23 INTEREST, IT WILL ATTRACT MONEY, IT WILL MAKE A HUGE  
24 DIFFERENCE IN THE WAY IN WHICH WE GO ABOUT THIS, AND  
25 THAT THE RESOURCES THAT WILL BE AVAILABLE TO PUSH IT

1 FORWARD INTO FURTHER AREAS, BUT THAT IS OUR KEY.

2 NO. 2, WE WOULD LIKE, THEN, TO HAVE SEVERAL  
3 OTHER DISEASES IN WHICH WE HAVE THERAPIES BASED ON STEM  
4 CELL RESEARCH IN PHASE I OR PHASE II CLINICAL TRIALS.  
5 AND THAT, AGAIN, IS AN AMBITIOUS GOAL FOR TEN YEARS.  
6 AND I MIGHT SAY THAT IT'S POSSIBLE THAT EVENTS WILL  
7 WORK OUT IN SUCH A WAY THAT WE WILL ACHIEVE THESE GOALS  
8 BEFORE TEN YEARS, AND WE WOULD ALL BE DELIGHTED IF THAT  
9 WERE THE CASE. BUT FOR SOME OF THE FACTORS THAT I'VE  
10 MENTIONED, THE ATTRITION IN PARTICULAR, THE LONG TIME  
11 LINE JUST TO WORK YOUR WAY THROUGH ALL OF THESE STEPS,  
12 THESE SEEM TO US TO BE IMPORTANT, AMBITIOUS, BUT  
13 ACHIEVABLE, AND ONES THAT WE WERE WILLING TO COMMIT TO,  
14 THAT WE THINK WE CAN DO THIS.

15 ALL RIGHT. GOAL 3 IS REALLY AN OUTCOME. IF  
16 WE'RE SUCCESSFUL IN 1 AND 2, THEN WE BELIEVE WE'LL BE  
17 ABLE TO ATTRACT PRIVATE CAPITAL FOR PHASE III. IN  
18 FACT, ONE OF OUR INTERVIEWEES EARLY ON, VERY  
19 DISTINGUISHED AND SHREWD PERSON, SAID THAT IF AT THE  
20 END OF TEN YEARS WE HAD RESULTS, IT WOULD CONVINCE BIG  
21 PHARMA TO PUT MONEY INTO THIS. HE SAID NOBODY COULD ASK  
22 YOU TO DO MORE.

23 SO I THINK THAT'S ONE WAY OF PUTTING IT, BUT  
24 I THINK IT EMPHASIZES THAT THE RESOURCES TO GO ON PAST  
25 PHASE II AND INTO PHASE III CLINICAL TRIALS WILL BE

1 VERY LARGE, AND WE NEED THE EXPERTISE AND THE CAPITAL,  
2 I THINK, OF THE PHARMACEUTICAL INDUSTRY TO DO THAT.  
3 I'M CONFIDENT IT WILL COME IF WE'RE ABLE TO PRODUCE  
4 THESE RESULTS.

5 NOW, NO. 4 IS A PROBLEM THAT CAME UP AGAIN  
6 AND AGAIN. THAT IS, YOU TRANSPLANT CELLS IN; AND  
7 UNLESS THERE IS A MATCH, THEN YOU HAVE AN IMMUNE  
8 RESPONSE TO THOSE CELLS. AND SO THE ISSUE OF HOW TO  
9 DEAL WITH THAT. IT'S A MAJOR PROBLEM IN BONE MARROW  
10 TRANSPLANTS. EVEN WITH HISTOCOMPATIBILITY MATCHING AND  
11 BANKS AND SO FORTH, STILL THERE ARE SERIOUS SIDE  
12 EFFECTS. AND MANY OF THE FAILURES IN EARLY STAGE  
13 PATIENTS ARE DUE, IN FACT, TO COMPLICATIONS THAT ARISE  
14 FROM THE LACK OF TOLERANCE. WE BELIEVE THAT ACTUALLY  
15 STEM CELLS CAN BE USED IN VARIOUS WAYS TO ACHIEVE  
16 IMMUNE TOLERANCE. AND SO THAT IS AN IMPORTANT EMPHASIS  
17 THAT WE THINK WILL HAVE BROAD IMPLICATIONS ACROSS  
18 DISEASES AND FOR THE THERAPY IN GENERAL.

19 WE WOULD LIKE TO HAVE PROOF OF PRINCIPLE FOR  
20 THERAPIES IN PRECLINICAL MODELS. BY THAT WE MEAN  
21 ANIMAL MODEL SYSTEMS IN SIX OR EIGHT -- FOR SIX OR  
22 EIGHT DISEASES. AND THEN WE ARE VERY INTERESTED IN  
23 USING, OF COURSE, THE PLURIPOTENT CELLS TO FORM HUMAN  
24 DISEASE-SPECIFIC LINES. AND WE BELIEVE THAT, ALTHOUGH  
25 THE TECHNOLOGY FOR THAT IS NOT QUITE AVAILABLE, THAT WE

1 THINK IT WILL BE SOON. AND THAT BY THE END OF TEN  
2 YEARS, WE SHOULD HAVE DISEASE-SPECIFIC LINES FOR 20 OR  
3 30 DISEASES. I THINK THAT'S A VERY EXCITING PROSPECT  
4 FOR ALL OF US.

5 THE NEXT GOAL, NO. 7, ON THE NEXT SLIDE IS  
6 NEW PROCEDURES FOR LARGE-SCALE GMP PRODUCTION OF STEM  
7 AND PROGENITOR CELLS. THERE IS ALREADY WORK ON THIS  
8 WORLDWIDE, BUT IT IS CLEAR THAT WE WILL NEED TO HAVE  
9 PROCEDURES FOR PRODUCING LARGE AMOUNTS. THIS MAY  
10 INVOLVE AUTOMATION. IT CERTAINLY WILL INVOLVE USING  
11 PROBABLY DEFINED MEDIA. IT MAY INVOLVE SOPHISTICATED  
12 ABOUT MATRICES. THERE'S A WORLD OF TECHNOLOGY THERE  
13 THAT NEEDS TO BE DEVELOPED AND WILL BE IMPORTANT FOR  
14 OUR ULTIMATE AIMS.

15 A THOROUGH UNDERSTANDING OF THE STEPS OF STEM  
16 CELL DIFFERENTIATION. IN THE MOUSE HEMATOPOIETIC STEM  
17 CELL SYSTEM, THANKS TO THE WORK OF IRV WEISSMAN AND  
18 OTHERS, USING SUITABLE MARKERS, ONE CAN DEFINE EVERY  
19 STAGE IN THE DEVELOPMENT FROM ADULT STEM CELLS,  
20 HEMATOPOETIC STEM CELLS, THROUGH VARIOUS PROGENITORS,  
21 MULTISTAGE, ALL THE WAY OUT TO THE MULTIPLE WHITE AND  
22 RED AND PLATELET PRODUCTS OF THE BLOOD SYSTEM. AND  
23 THOSE HAVE BEEN CHARACTERIZED IN THE MOUSE FOR SURFACE  
24 MARKERS AND FOR CHANGES IN GENE EXPRESSION.  
25 CONSIDERABLE IS KNOWN ABOUT THE PATHWAYS OF



1 DIFFERENTIATION. WE WOULD LIKE TO HAVE COMPARABLE  
2 INFORMATION FOR HUMAN EMBRYONIC STEM CELLS. AND THAT  
3 IS A LARGE-SCALE, MAJOR GOAL. IT WILL MAKE THE WORK  
4 INCREDIBLY EASIER IF WE WERE ABLE TO DO THAT.

5 GOAL 9 IS A THOROUGH UNDERSTANDING OF FACTORS  
6 REGULATING SELF-RENEWAL AND ONCOGENIC POTENTIAL OF STEM  
7 CELLS. THIS IS THE YEN AND THE YANG. THE POWER OF  
8 STEM CELLS IS THEIR ABILITY TO EXPAND ALMOST  
9 INDEFINITELY. AND THE FRIGHTENING THING ABOUT THEM IS  
10 THAT IF THAT'S OUT OF CONTROL, OF COURSE, THEN YOU HAVE  
11 POSSIBILITY OF TUMORS. AND SO THIS IS EXTREMELY  
12 IMPORTANT TO UNDERSTAND.

13 AND THEN FINALLY, WE SEE STEM CELLS AS THE  
14 BEGINNING OF A WHOLE NEW TECHNOLOGY. ED AND I HEARD  
15 SOME INTERESTING EXAMPLES JUST YESTERDAY AT THE  
16 INSTITUTE OF MEDICINE IN TISSUE ENGINEERING WHERE YOU  
17 TAKE DIFFERENT KINDS OF STEM CELLS WITH ARTIFICIAL  
18 MATRICES AND YOU'RE ABLE TO CREATE IN VITRO TISSUES  
19 THAT CAN BE TRANSPLANTED AND USED TO REPLACE HUMAN  
20 PARTS. THIS IS A VERY EXCITING FRONTIER. THERE'S  
21 CONSIDERABLE PROGRESS THAT'S BEEN MADE ON IT ALREADY,  
22 BUT IT FITS IN VERY NICELY WITH THE IDEA OF USING STEM  
23 CELLS AS THE SOURCE OF THE VARIOUS KINDS OF CELLS IN  
24 THE TISSUES AND THEN PUTTING IT TOGETHER IN A  
25 COMPLICATED WAY AND IN A THREE-DIMENSIONAL STRUCTURE

1 THAT IS APPROPRIATE FOR WHATEVER ORGAN OR TISSUE THAT  
2 YOU ARE TRYING TO LOOK AT.

3 NOW, LET ME SAY THAT WE THEN OUTLINED OUR  
4 FIVE-YEAR GOALS, AND I WON'T GO THROUGH EACH OF THESE  
5 ONE BY ONE. I THINK THE TEN-YEAR GOALS WERE IMPORTANT,  
6 BUT THE FIVE-YEAR GOALS ARE REALLY MEANT TO SAY IF  
7 WE'RE GOING TO GET TO TEN-YEAR GOALS, WHAT DO WE HAVE  
8 TO DO IN THE NEXT FIVE YEARS? AND SO WE NEED RIGHT  
9 AWAY TO GET SOME THERAPIES BASED ON STEM CELL RESEARCH  
10 IN PRECLINICAL DEVELOPMENT SO THAT WE CAN MOVE THEM  
11 RIGHT ON THROUGH. WE NEED TO FIND OUT HOW TO MAKE STEM  
12 CELL LINES. WE NEED TO GET DISEASE-SPECIFIC STEM CELLS  
13 AND SO FORTH, AND WE NEED TO ESTABLISH A STEM CELL  
14 BANK.

15 SO WE WON'T GO THROUGH ALL OF THESE, BUT JUST  
16 TO SAY THAT THEY ARE MEANT TO DIRECT US TOWARD OUR  
17 TEN-YEAR GOALS AND ALSO TO PROVIDE BENCHMARKS AGAINST  
18 WHICH WE CAN ASSESS OUR PROGRESS AT FIVE YEARS.

19 SO LET ME THEN TALK ABOUT THE NEXT ISSUE, AND  
20 THAT IS HOW ARE WE GOING TO ACCOMPLISH THESE VARIOUS  
21 AIMS? WELL, WE WILL HAVE A SERIES OF INITIATIVES IN  
22 PARTICULAR AREAS. WHAT WE DID ACTUALLY WAS TO TAKE  
23 MATERIAL FROM ALL OF OUR SOURCES OF INFORMATION, TRY TO  
24 COMBINE IT, AND PUT IT TOGETHER IN WHAT SEEMED TO US  
25 SENSIBLE WAYS, ORGANIZE IT, THEN, AROUND INITIATIVES,

1 SOME RATHER NARROW, SOME RATHER BROAD, AS YOU WILL SEE,  
2 BUT ALL INTENDED TO GET US TO OUR GOAL.

3 AND WE FOUND AS WE THOUGHT ABOUT THESE THAT  
4 THEY WERE ALMOST TOO COMPLEX TO CHARACTERIZE IN ANY  
5 SINGLE WAY. AND SO WE HIT ON THIS IDEA OF HAVING  
6 TWO-DIMENSIONAL SPACE IN WHICH WE REPRESENTED THEM  
7 ALONG TWO AXES ACCORDING TO TWO SETS OF VALUES, AND YOU  
8 SEE THAT IN THE NEXT SLIDE. THE TOP IS REALLY A  
9 VERSION OF THE ARROW THAT YOU SAW BEFORE GOING FROM  
10 BASIC RESEARCH TO CLINICAL RESEARCH, AND WE MOVED  
11 PRECLINICAL RESEARCH AND DEVELOPMENT TOGETHER FOR THIS  
12 PURPOSE. SO WE HAVE LAYING THE FOUNDATION, PREPARING  
13 FOR THE CLINIC, AND CLINICAL RESEARCH. SO THAT  
14 PROVIDES ONE PART OF IT.

15 AND THE SECOND IS THE KINDS OF RESOURCES THAT  
16 WE HAVE AT OUR DISPOSAL. THAT IS, WHAT KINDS OF THINGS  
17 DO WE NEED IN ORDER TO GET THIS, CUTTING ACROSS THOSE  
18 VARIOUS -- THAT PROGRESSION FROM THE LABORATORY TO THE  
19 CLINIC. AND THOSE ARE ON THE LEFT: SCIENTIFIC  
20 TRAINING AND DEVELOPMENT, INNOVATION SCIENCE,  
21 MISSION-ORIENTED SCIENCE. WE HAVE ALSO SOME SPECIAL  
22 CIRM PROGRAMS THAT SHOULD BE NOTED THERE. TOOLS,  
23 TECHNOLOGIES, AND INFRASTRUCTURE, FACILITIES, AND THEN  
24 COMMUNITIES OF SCIENCE, AND RESPONSIBILITY TO THE  
25 PUBLIC.

1                   AND WHAT WE THEN PROCEEDED TO DO WAS TO THINK  
2 ABOUT EACH OF THESE. AND THERE'S A MAJOR SECTION IN  
3 OUR STRATEGIC PLAN IN WHICH WE ADDRESS EACH ONE OF  
4 THESE AREAS; THAT IS, EACH ONE OF THE HORIZONTAL  
5 SEGMENTS AND EACH ONE OF THE VERTICAL SEGMENTS, AND  
6 TALK ABOUT THE NEEDS, THE OPPORTUNITIES, THE  
7 CHALLENGES. WE TRY TO RELATE THEM TO THE STRATEGIC  
8 PRINCIPLES AND THE VALUES THAT YOU LAID OUT. AND IT  
9 GIVES US -- WE CAN ACTUALLY PLACE AN INITIATIVE WITHIN  
10 THIS SPACE AT VARIOUS PLACES, AND IT GIVES US A RICH  
11 CONTEXT IN WHICH TO CONSIDER AND A WAY TO ORDER THEM.  
12 WE HAVE SOME GRAPHICAL MEANS OF DOING THAT IN THE  
13 STRATEGIC PLAN. UNFORTUNATELY THEY DIDN'T TRANSLATE  
14 VERY WELL TO POWERPOINT, SO I WILL LET YOU SEE THEM,  
15 BUT WE'LL COME TO THEM IN A DIFFERENT FORM IN A MOMENT.

16                   NOW, WHAT ABOUT THE INITIATIVES? WE TOOK ALL  
17 THE INFORMATION THAT WE HAD, AND WE TRIED TO PUT THEM  
18 TOGETHER. AND THERE ARE SEVERAL POINTS TO BE MADE  
19 ABOUT THEM. FOR EACH INITIATIVE WE WROTE A SECTION  
20 THAT DESCRIBED OUR GOALS AND WHAT WE HOPED TO GET OUT  
21 OF IT AND TO PROVIDE THE BACKGROUND FOR WHY WE WERE  
22 INTERESTED IN THAT PARTICULAR INITIATIVE. WE THEN  
23 TALKED ABOUT THE ACTIVITIES RELATED TO THAT INITIATIVE.  
24 THAT IS, WHETHER WE MIGHT HAVE AN RFA OR A WORKSHOP OR  
25 WHATEVER WE MIGHT WANT TO DO. WE THEN MADE A DOLLAR

1 ESTIMATE BASED ON THAT. HOW MANY GRANTS? HOW LARGE?  
2 AND HOW MANY YEARS? AND I'LL COME BACK TO THAT.

3 AND THEN I WANT TO MAKE A COUPLE POINTS ABOUT  
4 THEM. FIRST OF ALL, THE INITIATIVES, THESE ARE NOT  
5 FINAL. THEY'RE NOT MEANT TO BE WRITTEN IN STONE. EACH  
6 RFA THAT DERIVES FROM AN INITIATIVE WILL COME TO THE  
7 ICOC, AND WE'LL DISCUSS THE REASONS FOR IT, THE SCOPE  
8 OF IT, AND HOW MANY GRANTS WE WANT TO GIVE, HOW LONG,  
9 HOW MUCH MONEY, AND WHAT THE DOLLAR IMPLICATION IS.  
10 THAT IS, WE WILL HAVE A BUDGET FIGURE JUST AS WE HAVE  
11 DONE FOR THE RFA'S THAT WE'VE PUT OUT SO FAR.

12 WE HAVE USED THE BUDGET. IT'S IMPORTANT TO  
13 HAVE A BUDGET FIGURE, SO WE CAN FIGURE OUT IF WE HAVE  
14 ENOUGH MONEY TO DO ALL THE THINGS WE WANT TO DO AND TO  
15 THINK ABOUT HOW THE MONEY IS GOING TO BE PLAYED OUT  
16 OVER TIME. BUT THESE FIGURES ARE NOT IMMUTABLE. ONE  
17 SUGGESTION WAS WHY DIDN'T WE GIVE A RANGE. THAT MAKES  
18 THE CALCULATIONS MUCH MORE COMPLICATED, SO JUST IMAGINE  
19 THAT EACH OF THESE FIGURES REPRESENTS THE MIDPOINT OF A  
20 RANGE, IF YOU WILL. THEY'RE MEANT TO BE APPROXIMATE  
21 AND TO HELP US IN THINKING ABOUT IT, NOT TO BE  
22 DEFINITIVE.

23 SECONDLY, MANY TOPICS, AS YOU LOOK THROUGH  
24 THESE, WE HAVE 25 DIFFERENT INITIATIVES. AND THERE ARE  
25 MANY, MANY TOPICS IN WHICH WE ARE NOT EXPERTS AND WE

1 SIMPLY DIDN'T HAVE THE TIME TO GO OUT AND BECOME  
2 EXPERTS ON THEM. AND SO WHAT WE WILL DO IN THOSE CASES  
3 IS TO HOLD WORKSHOPS IN PARTICULAR AREAS. THE EXAMPLE  
4 OF AUTOMATION THAT I MENTIONED EARLIER IS A GOOD  
5 EXAMPLE. WE DON'T HAVE THE EXPERTISE. MOST OF YOU  
6 DON'T. AND I THINK WHAT WE NEED TO DO IS TO GET  
7 TOGETHER SOME BIOLOGISTS KNOWLEDGEABLE ABOUT CELL  
8 CULTURE, WE NEED TO GET TOGETHER ENGINEERS, WE NEED TO  
9 GET NANOTECH PEOPLE AND TALK ABOUT WHAT THE  
10 OPPORTUNITIES ARE, AND TO HAVE A SORT OF MINI VERSION  
11 OF OUR MEETING LAST YEAR AND TO FIND OUT. OUT OF THAT  
12 WILL COME A BETTER SENSE OF WHAT WE SHOULD BE DOING.

13 SO WE WILL BE DOING THOSE QUITE REGULARLY.  
14 AND, THEREFORE, OUR PRIORITIES, OUR TOPICS, AND OUR  
15 BUDGETS MAY VERY WELL BE ALTERED AS WE GO THROUGH THIS.  
16 SO ALL THIS IS MEANT TO LAY IT OUT. THIS IS SOMETHING  
17 THAT WE ARE PUTTING OUT THERE TO GUIDE US, BUT IT'S NOT  
18 MEANT TO CONFINE US IN ANY WAY. THAT'S REALLY THE  
19 POINT.

20 NOW, THE NEXT SLIDE JUST SHOWS THE 25  
21 INITIATIVES. YOU WILL BE RELIEVED TO KNOW I WILL NOT  
22 GO THROUGH ONE BY ONE IN GREAT DETAIL. THEY ARE  
23 WRITTEN UP IN YOUR BOOKS, AND WE WOULD BE HAPPY TO TALK  
24 ABOUT ANY ONE OF THEM IF YOU PLEASE. WE'VE GONE INTO A  
25 GOOD DEAL OF DETAIL, AS I THINK YOU SEE, AS YOU LOOK

1 THROUGH THEM.

2 I WANTED TO CALL OUT ONE INITIATIVE THAT WE  
3 THINK IS VERY INTERESTING, A CIRM SPECIAL PROGRAMS  
4 INITIATIVE. AND THIS REALLY AROSE DIRECTLY OUT OF OUR  
5 FACT-FINDING. WE HAD IN OUR FIRST TWO MEETINGS, FOR  
6 THOSE OF YOU WHO MAY HAVE ATTENDED, WE HAD SEVERAL  
7 SPEAKERS WHO TALKED ABOUT WAYS OF ORGANIZING GRANT  
8 ACTIVITY AND ORGANIZING SCIENCE THAT REALLY REPRESENTED  
9 AN INNOVATION, THAT REPRESENTED A DIFFERENT WAY OF  
10 GOING ABOUT THINGS FROM THE USUAL WAY, WHICH IS FOR  
11 MOST OF US NIH. WE ALSO TALKED TO SEVERAL PEOPLE AND  
12 HAD SOME PRESENTATIONS THAT WERE VERY INFLUENTIAL IN  
13 OUR THINKING ABOUT THIS.

14 AND SO WE WANTED TO BE INNOVATIVE IN THIS  
15 AREA. WE WANTED NOT TO DO JUST THE SAME OLD THINGS.  
16 AND SO WE HAVE AN INITIATIVE, THEN, IN WHICH WE COULD  
17 REGARD AS A SORT OF EXPERIMENT IN WHICH WE TRY TO  
18 ORGANIZE SCIENTISTS AND CLINICIANS IN NEW WAYS AND  
19 ENGINEERS TO GET JOBS DONE. AND THE BASIC CONCEPT IS  
20 TO HAVE TEAMS, COLLABORATIVE TEAMS, ACROSS INSTITUTIONS  
21 TO TRY TO GET THE BEST PEOPLE IN CALIFORNIA FOR A  
22 PARTICULAR JOB AND OUTSIDE OF CALIFORNIA IF WE CAN FIND  
23 FUNDING THAT WOULD PAY FOR THOSE PEOPLE TO GO ALONG  
24 WITH US THAT WE COULD CO-FUND.

25 WE ARE INTERESTED IN PROJECTS IN WHICH THERE

1 IS A SPECIFIC GOAL OR A SET OF GOALS WITH A TIMELINE  
2 AND MILESTONES. HERE'S WHAT WE'RE GOING TO DO. HERE'S  
3 HOW WE'RE GOING TO DO IT, ABCD, NOT OPEN-ENDED  
4 RESEARCH, BUT VERY MUCH GOAL-DIRECTED RESEARCH.

5 AND THE THIRD POINT WAS THAT IT SHOULD BE  
6 STRONGLY MANAGED. WE HEARD A NUMBER OF CASES THAT LED  
7 US TO BELIEVE THAT THIS COULD BE VERY, VERY PRODUCTIVE  
8 FOR US. AND ACTUALLY ARE IDEAS THAT WE WOULD EVEN  
9 PROVIDE FUNDS TO GET AN OUTSIDE PROJECT MANAGER,  
10 SOMEBODY WITH EXPERIENCE IN PROJECT MANAGEMENT TO A  
11 SPECIFIC GOAL, WHETHER IT'S DRUG DEVELOPMENT OR  
12 WHATEVER IT MIGHT BE, THAT WOULD ACTUALLY ORGANIZE THE  
13 PROJECT.

14 ONE KIND OF TEAM WOULD BE DISEASE TEAMS.  
15 THAT IS, TO GET THE BEST PEOPLE ACROSS THE STATE FOR A  
16 PARTICULAR DISEASE AND SAY HERE'S WHAT WE ARE GOING TO  
17 DO. WE'RE GOING TO DO SOME BASIC RESEARCH AND FIND  
18 OUT -- ANSWER THIS QUESTION. WE'RE GOING TO USE THAT,  
19 THEN, TO GO AHEAD AND COME UP WITH A THERAPY FOR THIS  
20 DISEASE. WE'RE GOING TO THEN CARRY OUT THE FOLLOWING  
21 STEPS TO TRY TO GET THIS INTO PRECLINICAL DEVELOPMENT  
22 AND THEN EVENTUALLY TO THE CLINIC. AND WE THINK BY  
23 HAVING A GROUP OF PEOPLE COMMITTED OVER A LONG TIME TO  
24 A PROJECT, AND UNDERSTANDING THAT THE PROJECT MOVES  
25 FROM PHASE TO PHASE TO PHASE, WE THINK WILL BE VERY



1 VALUABLE. AND THEN, FINALLY, WE HAVE RESEARCH TEAMS.  
2 FOR THOSE OF YOU WHO ARE GETTING HUNGRY, I  
3 JUST HAVE A LITTLE BIT MORE TO GO. I'VE JUST GOTTEN A  
4 NOTE SAYING FOOD IS READY, SO THAT'S AN IMPETUS TO US  
5 ALL. WHAT I'D LIKE TO DO IS ACTUALLY FINISH UP THIS  
6 GENERAL OVERVIEW. MAYBE WE CAN GO OUT AND GET FOOD AND  
7 THEN WE CAN COME BACK AND HAVE QUESTIONS, AND THEN WE  
8 CAN TALK ABOUT SOME OF THE SPECIFIC INITIATIVES.

9 AT ANY RATE, THIS IS SOMETHING THAT WE THINK  
10 IS VERY EXCITING. THE FINAL POINT I FAILED TO MENTION  
11 ON THAT IS THAT WE WOULD HAVE INVOLVEMENT OF CIRM IN  
12 IT. AND FOR THOSE OF YOU WHO HEARD THE PRESENTATION BY  
13 JILL HEEMSKERK OF NINDS OF THEIR DRUG SCREENING  
14 EFFORTS, THIS WAS A PROMINENT FEATURE. AND WE HEARD A  
15 LOT FROM SEVERAL FUNDING AGENCIES ABOUT SO-CALLED  
16 ACTIVE MANAGEMENT. THAT IS, YOU DON'T SIMPLY GIVE  
17 PEOPLE MONEY AND GO AWAY AND COME BACK AND SAY, WELL,  
18 LET US KNOW IN FOUR YEARS HOW YOU DID, BUT THAT YOU  
19 MEET WITH THEM ON A REGULAR BASIS, OFTEN FORMING PART  
20 OF A STRATEGIC PLANNING COMMITTEE OR GUIDANCE COMMITTEE  
21 THAT THEN MAKES DECISIONS ABOUT HOW IT GOES THROUGH.

22 NOW, WE DON'T HAVE THE LUXURY OF HAVING  
23 ENOUGH STAFF TO DO THIS AS A WAY OF DOING BUSINESS  
24 ACROSS THE BOARD, BUT WE THINK IT WOULD BE VERY  
25 INTERESTING TO TRY THIS OUT BOTH FOR DISEASE PURPOSES

1 AND ALSO FOR OTHER GOALS, OTHER PURPOSES THAT MAY BE  
2 TECHNOLOGICAL, THAT MAY BE BIOLOGICAL, WHATEVER THEY  
3 ARE. AND WE LOOK FORWARD TO TRYING THAT ACTIVITY.

4 OKAY. BUDGET. HOW DO WE DO THE BUDGET? WE  
5 BEGAN BY -- ACTUALLY AMY LEWIS IS RESPONSIBLE FOR MUCH  
6 OF THIS WORKING WITH TONY AND PATRICIA. SHE WORKED  
7 WITH BOB KLEIN AND HIS OFFICE TO ESTIMATE HOW MUCH  
8 MONEY WILL BE COMING IN FROM THE BOND ISSUANCE EACH  
9 YEAR. AND THE ASSUMPTIONS BEHIND THAT ARE IN, I THINK  
10 IT'S, APPENDIX 3. THEN WE ESTIMATED THE BUDGETS FOR  
11 EACH INITIATIVE BASED ON WHATEVER THEY WERE, WORKSHOPS,  
12 RFA'S, BASED ON HOW MANY YEARS, HOW MANY GRANTS, HOW  
13 MUCH PER GRANT, HOW LONG WE WERE GOING TO CONTINUE THE  
14 INITIATIVE, AND WE CAME UP WITH A DOLLAR FIGURE. AND  
15 WE DREW UP A DETAILED YEAR-BY-YEAR PLAN, WHICH IS, I  
16 THINK, IN APPENDIX D 3, IF I'M NOT MISTAKEN. IT'S  
17 PRACTICALLY THE VERY LAST THING IN THE BOOK THAT AMY  
18 LEWIS DREW UP THAT BASICALLY SHOWS YEAR BY YEAR HOW  
19 MUCH WE WILL BE FUNDING.

20 THE GOOD NEWS IS THAT WE FOUND THAT WE ARE  
21 ABLE TO DO IN A REASONABLE TIMEFRAME ALL OF THE  
22 INITIATIVES THAT WE WANTED TO DO AND THAT WE HAVE A  
23 SMALL AMOUNT OF MONEY LEFT OVER EACH YEAR WHICH WE  
24 MIGHT CONSIDER AS OPPORTUNITY FUNDS. SO THIS IS NICE  
25 ACTUALLY BECAUSE IT GIVES US SOME WIGGLE. IT MEANS

1 WE'RE NOT PLANNED OUT TO THE WALLS. IF SOMETHING NEW  
2 COMES UP, AT LEAST FOR FIRST APPROXIMATION, WE HAVE THE  
3 POSSIBILITY OF FUNDING IT OR STARTING IT ON A SMALL  
4 SCALE TO SEE IF IT WORKS WITHOUT TAKING MONEY  
5 NECESSARILY AWAY FROM OTHER THINGS. SO THIS IS MEANT  
6 TO LET US HAVE FLEXIBILITY, WHICH WAS ONE OF THE VALUES  
7 THAT WERE ADOPTED.

8 OH, YES. THE NEXT THING I WANTED TO SAY WAS  
9 WE THEN TOOK THAT BUDGET, AND WE MADE AN ESTIMATE FOR  
10 EACH OF THE INITIATIVES OF HOW MUCH WAS, ACCORDING TO  
11 OUR ARROW, ON THE PATHWAY TO THE CLINIC -- I THINK  
12 THAT'S IN THE NEXT SLIDE -- LAYING THE FOUNDATION,  
13 PREPARING THE CLINIC, AND CLINICAL RESEARCH. SO WE  
14 TOOK OUR INITIATIVES, WE COMBINED THEM UNDER THE  
15 VARIOUS ELEMENTS THAT WE HAD ON THE VERTICAL AXIS  
16 THERE, AND YOU CAN SEE WHAT WE LAID OUT. FOR EXAMPLE,  
17 SCIENTIFIC TRAINING AND DEVELOPMENT WILL INVOLVE MONEY  
18 IN ALL OF THOSE AREAS. MISSION-DIRECTED SCIENCE, MOST  
19 OF THEM ACTUALLY PLAYED OUT ACROSS THE VARIOUS WAYS.  
20 WE MADE SOME ASSUMPTIONS IN DOING THAT, BUT IT LET US  
21 SEE HOW MUCH WE WERE SPENDING IN THE THREE AREAS, AND  
22 IT COMES OUT ROUGHLY THIRDS: 823 MILLION FOR THE  
23 FUNDAMENTAL WORK; A LITTLE BIT MORE, 899 FOR PREPARING  
24 THE CLINIC; AND A LITTLE BIT LESS, A BIT LESS, 656  
25 MILLION FOR CLINICAL RESEARCH.

1 I MIGHT COMMENT ON THAT. BECAUSE SO LITTLE  
2 IS KNOWN ABOUT THE FUNDAMENTAL BIOLOGY OF THESE CELLS,  
3 THERE IS A DISTINCT AMOUNT THAT WE NEED TO DO IN LAYING  
4 THE FOUNDATION. THE LARGEST IS PREPARING FOR THE  
5 CLINIC, AND I THINK THAT REFLECTS BOTH THE TIME COURSE  
6 OF WHAT WE'RE DOING AND ALSO OUR EXPECTATION THAT  
7 THINGS WILL BE MOVING TOWARD THE CLINIC.

8 THE CLINICAL RESEARCH IS RELATIVELY LESS  
9 BECAUSE THAT COMES AT THE END OF THE PROCESS, AND WE  
10 WILL BE DOING MORE OF IT AS WE GO ALONG, BUT MUCH OF  
11 THE MOST IMPORTANT AND MOST EXPENSIVE OF THAT WILL BE  
12 DONE PAST THE TEN-YEAR ARBITRARY LINE THAT WE'VE DRAWN.  
13 AND THEN, ALSO, WE MADE THE ASSUMPTION THERE THAT WOULD  
14 BE CLINICAL TRIALS, THAT WE WOULD FIND PARTNERS THAT  
15 WOULD SPLIT 50-50 THE CLINICAL TRIALS WITH US.

16 SO THAT'S THE GENERAL OUTLINE. IT SORT OF  
17 GAVE US A SENSE OF WHERE WE WERE PUTTING OUR MONEY AND  
18 A WAY OF ANALYZING IT AND THINKING ABOUT IT AND SEEING  
19 IF WE WERE BALANCED IN ALL THE THINGS THAT WE HAVE TO  
20 DO. OUR SENSE WAS THAT IT CAME OUT ABOUT RIGHT, AND WE  
21 WOULD APPRECIATE ANY COMMENTS YOU HAVE ABOUT THAT.

22 THE NEXT SLIDE, I THINK, MAKES THE POINT --  
23 THIS IS THE NEXT TO THE LAST ONE HERE -- THAT IN SHERRY  
24 LANSING'S IMMORTAL PHRASE, THIS IS A LIVING PLAN. IT  
25 WILL CHANGE. WE WILL BE FLEXIBLE. WE WILL MODIFY IT.

1 AND WE SUGGESTED A FORMAL PROCESS. WE THOUGHT THREE  
2 YEARS AND SEVEN YEARS. WE CHOSE THREE BECAUSE THAT WAS  
3 A TIME AT WHICH YOU COULD START TO SAY HOW ARE WE DOING  
4 ON OUR FIVE-YEAR GOALS. AND AS YOU WILL HEAR LATER,  
5 OUR PLAN IS TO LAY OUT A FAIRLY DETAILED THREE-YEAR  
6 OPERATIONAL PLAN FOR WHAT WE'RE GOING TO DO. AND BY  
7 THEN YOU WILL NEED TO THINK IN MORE SPECIFIC TERMS, WE  
8 ALL WILL, ABOUT THE NEXT THREE YEARS. SO THAT WOULD BE  
9 A TIME TO DO THAT.

10 AND THEN AT THE SEVEN-YEAR MARK, AGAIN, YOU'D  
11 WANT TO SEE HOW YOU'RE DOING WITH RESPECT TO YOUR  
12 TEN-YEAR GOALS, AND THAT WOULD BE, AGAIN, A TIME TO SET  
13 UP ANOTHER THREE YEARS OF VERY DETAILED PLANS.

14 THE IDEA IS THAT IF YOU'RE AHEAD OF YOUR  
15 GOALS, THEN YOU SET THE NEXT ONES TO BE MORE AMBITIOUS.  
16 IF YOU'RE RUNNING BEHIND, YOU NEED TO KNOW WHY, AND YOU  
17 NEED TO KNOW IF YOU NEED TO MAKE ADJUSTMENTS OR WHAT  
18 YOU NEED TO DO, OR MAYBE YOU NEED TO HAVE SOME NEW  
19 INITIATIVES TO SOLVE SOME PROBLEMS. IT MAY BE THAT  
20 CIRM WOULD SPONSOR A CONFERENCE AT THAT TIME, MUCH LIKE  
21 THE CONFERENCE BEFORE. OUR IDEA WOULD BE THAT WHATEVER  
22 BROAD GOALS, RECOMMENDATIONS ARE MADE BY THE REVIEW  
23 COMMITTEE WOULD BE BROUGHT TO THE ICOC, WHICH WOULD  
24 THEN APPROVE THE MODIFICATION. AND THEN THE PRESIDENT  
25 AND STAFF WILL THEN TRY TO CONVERT THAT INTO AN

1 OPERATIONAL PLAN MUCH LIKE THIS ONE FOR YOUR APPROVAL.

2 SO THAT WOULD BE OUR SUGGESTION. AGAIN, THAT  
3 CAN BE MODIFIED. THERE'S NOTHING WRITTEN IN STONE  
4 ABOUT THIS, BUT THIS SEEMED TO US, AT LEAST, AS A FIRST  
5 APPROXIMATION A GOOD WAY TO THINK ABOUT IT AND TO  
6 REMIND US THAT WE WILL BE ADJUSTING. THIS PROVIDES A  
7 FORMAL WAY OF DOING THAT.

8 NOW, WHAT ARE THE NEXT STEPS? WE ARE EAGER  
9 TO HEAR YOUR REACTIONS TO THESE. WE WILL MAKE  
10 MODIFICATIONS IN THE PLAN. NOW, I JUST REFERRED  
11 OBLIQUELY TO A SECTION THAT YOU MAY NOTICE IS BLANK,  
12 THAT'S WHAT WE CALL THE FIRST THOUSAND DAYS. AND  
13 DEPENDING ON YOUR PROCLIVITIES, YOU CAN THINK OF IT AS  
14 PEOPLE TALK ABOUT THE FIRST HUNDRED DAYS OF A NEW  
15 PRESIDENT. OR FOR THOSE OF YOU WHO LIKE FOOTBALL, YOU  
16 GO IN WITH THE FIRST 20 PLAYS SCRIPTED. SO IT'S OUR  
17 ATTEMPT, THEN, TO SAY ALL RIGHT. WE CAN HAVE THESE  
18 GENERAL THINGS. WHAT ARE WE GOING TO DO AND WHEN ARE  
19 WE GOING TO DO IT OVER THE NEXT THREE YEARS? EXACTLY  
20 WHAT ARE OUR RFA'S GOING TO BE? WHEN ARE WE GOING TO  
21 PUT THEM OUT? AND REALLY TO TRY TO LOOK FORWARD, THEN,  
22 AND SCHEDULE THAT RATHER TIGHTLY. DOESN'T MEAN IT  
23 CAN'T BE CHANGED, BUT TO LET'S SEE WHAT THE JOB THAT  
24 FACES US IS, SEE WHAT RESOURCES WE NEED, SEE HOW WE CAN  
25 GO ABOUT IT.

1                   AND WE THOUGHT IT WAS PREMATURE TO DO THAT  
2 UNTIL WE GOT SOME SENSE FROM YOU OF WHETHER YOU AGREED  
3 WITH THE OVERALL DIRECTION ON INITIATIVES AND ALL THAT.  
4 WE WILL TAKE THAT INFORMATION THAT YOU GIVE US HERE AND  
5 WE WILL GO BACK AND THEN TRY TO PUT TOGETHER THAT VERY  
6 SPECIFIC PLAN, AND THEN WE'LL BRING IT ALL BACK TO THE  
7 ICOC FOR CONSIDERATION, MODIFICATION, APPROVAL IN  
8 DECEMBER.

9                   SO THAT CONCLUDES, THEN, MY OPENING COMMENTS  
10 ABOUT THIS. I SUGGEST WE ADJOURN FOR DINNER AND THEN  
11 WE COME BACK, AND WE'D BE HAPPY TO ANSWER QUESTIONS.  
12 WE CAN DISCUSS SPECIFIC ITEMS THAT YOU MAY WISH TO  
13 DISCUSS, OR IT'S AN OPEN FLOOR THEN, AND WE WILL BE  
14 LISTENING VERY MUCH TO YOUR COMMENTS AND SUGGESTIONS.  
15 THANK YOU.

16   (APPLAUSE.)

17                   CHAIRMAN KLEIN: THE DINNER, AS I UNDERSTAND  
18 IT, WILL BE SERVED IN THE COURTYARD ALONG THE WALKWAY  
19 THAT YOU CAME IN RIGHT AFTER THE MAIN LOBBY. ALL OF  
20 THE AUDIENCE IS INVITED TO EAT IN THE MAIN DINING ROOM,  
21 BUT FOR THE MEMBERS OF THE BOARD, THERE IS FOOD IN THAT  
22 SPECIFIC COURTYARD. IN ORDER TO EXPEDITE IT, I THINK  
23 THAT THE THOUGHT WAS, AND, DR. HALL, PLEASE GUIDE US  
24 HERE, THAT WE MIGHT SPEND MAYBE 45 MINUTES AT DINNER  
25 AND COME BACK RATHER THAN SPENDING A FULL HOUR BECAUSE

1 LEAVING MOST TIME FOR THE AGENDA. IS THAT REASONABLE  
2 FOR THE BOARD? OKAY. SO 45 MINUTES.

3 (A RECESS WAS TAKEN.)

4 CHAIRMAN KLEIN: IF WE COULD PLEASE  
5 RECONVENE. IF WE COULD PLEASE RECONVENE, WE HAVE A  
6 STRATEGIC PLAN IN FRONT OF US THAT WILL TAKE SOME TIME.  
7 WE MUST GET STARTED WITH IT NOW. THE BOARD NEEDS TO  
8 SET AN EXAMPLE OF HOW TIMELY WE CAN ACCOMPLISH THESE  
9 TIMELINES IN THE STRATEGIC PLAN. DR. HALL, YOU HAVE  
10 THE FLOOR.

11 DR. HALL: WELL, I WOULD TURN IT BACK TO THE  
12 COMMITTEE. I DON'T HAVE FURTHER SPECIFIC PREPARED  
13 STATEMENTS TO MAKE. WE'RE HERE TO LISTEN TO YOU, GET  
14 THE REACTIONS AND RESPONSES OF THE ICOC TO THE PLAN,  
15 ANY SUGGESTIONS, MODIFICATIONS. SO WE LOOK FORWARD TO  
16 HEARING YOUR COMMENTS. WE ARE PREPARED. WE HAVE ON  
17 THE SCREEN HERE, THE COMPUTER, DIFFERENT PIECES OF IT,  
18 SO IF YOU WANT TO TALK ABOUT ONE THING, WE CAN PUT IT  
19 UP ON THE SCREEN. SO WHATEVER WE -- I WOULD SAY LET'S  
20 OPEN IT UP FOR QUESTIONS, DISCUSSIONS. WE WANT VERY  
21 MUCH TO HEAR FROM YOU AT THIS STAGE.

22 CHAIRMAN KLEIN: I WOULD START WITH SOME GOOD  
23 NEWS. AND THAT GOOD NEWS IS THAT ON PAGE 103, IT NOTES  
24 THAT IN THE FIRST BULLET POINT, THE MAXIMUM AMOUNT OF  
25 NEW BONDS THAT CAN BE ISSUED IS CAPPED AT 350 MILLION



1 PER CALENDAR YEAR. THE GOOD NEWS IS IT'S NOT ACTUALLY  
2 CAPPED AT 350 MILLION UNLESS ALL PRIOR YEARS HAVE BEEN  
3 AT 350 MILLION. SO THIS IS A DRAFT.

4 IT'S AN EXCELLENT PIECE, BUT FROM APPENDIX  
5 D 2, YOU WILL SEE THAT THERE IS POINT 15 WHERE IT SAYS  
6 ASSUMING NO MORE THAN 350 MILLION IN GO BONDS IS ISSUED  
7 IN ANY ONE CALENDAR YEAR. I WANT TO ASSURE YOU THAT  
8 THAT'S JUST AN ASSUMPTION BECAUSE CERTAINLY IF YOU WORK  
9 THROUGH THE NUMBERS, YOU WOULD FIGURE OUT THAT THE  
10 2007, IF WE WERE CAPPED AT 350 MILLION A YEAR, THE  
11 NUMBERS WOULDN'T WORK BECAUSE WE HAVE \$150 MILLION TO  
12 REFINANCE THE GOVERNOR'S LOAN, PLUS 45 MILLION IN BAN'S  
13 PLUS CAPITALIZED INTEREST, THAT'S 200 MILLION; AND IF  
14 WE WERE TO DO 150 MILLION IN FACILITIES, THAT'S 350  
15 MILLION. THERE WOULD BE NO MONEY FOR RESEARCH. THAT  
16 IS NOT THE OUTCOME.

17 SO THIS IS AN INTERPRETATION, WHICH, IN FACT,  
18 IS CONSERVATIVE AND, IN FACT, BECAUSE WE HAVEN'T ISSUED  
19 350 MILLION IN 2005 AND 2006, WE HAVE A ROLL-FORWARD  
20 CAPACITY WHICH WILL NOT CONSTRAIN US IN 2007.

21 WITH THAT FLEXIBILITY ON THE TABLE, ARE THERE  
22 BOARD COMMENTS? DR. FRIEDMAN.

23 DR. FRIEDMAN: JUST A COUPLE OF COMMENTS. I  
24 THINK THE DOCUMENT IS ASTONISHINGLY WELL WRITTEN.

25 DR. HALL: PARTICULARLY NOW THAT I HEARD IT,

1       COULD YOU SAY THAT A LITTLE LOUDER, PLEASE?

2                   DR. FRIEDMAN:  I SAID THERE'S NOT ENOUGH  
3       MONEY BEING SPENT ON THE HARD OF HEARING, AND I DEMAND  
4       THAT WE DON'T.  I THINK THAT IT IS REALLY VERY CLEARLY  
5       AND VERY PROFESSIONALLY WRITTEN.  THERE ARE A NUMBER OF  
6       THINGS THAT I REALLY LIKE ABOUT IT.  I DO LIKE THE  
7       FORMALITY AND THE CLEAR SET OF EXPECTATIONS AND THE  
8       FORMAL INCORPORATION OF POINTS IN TIME WHEN WE WILL  
9       REVIEW AND BE SELF-CRITICAL.  AND I THINK THAT THAT  
10      RIGOR AND THAT DISCIPLINE IS ABSOLUTELY ESSENTIAL, AND  
11      I CONGRATULATE THE GROUP FOR PUTTING THAT TOGETHER.

12                   I'M NOT SURE THAT TONIGHT IS THE TIME FOR A  
13      LOT OF LITTLE POINTS OF DISCUSSION, ALTHOUGH OTHERS ON  
14      THE COMMITTEE MAY DISAGREE WITH ME.  AND I THINK MY  
15      SUGGESTION IS TO LOOK AT THE BIG ISSUES AND SEE IS  
16      THERE ANYTHING THAT WE'VE FORGOTTEN.  MY OWN VIEW IS  
17      THAT THE SORT OF PROPORTIONS THAT ARE LISTED HERE FOR  
18      THE VARIOUS INITIATIVES SEEM PRETTY MUCH OKAY TO ME.  
19      YOU COULD ARGUE THAT SOME COULD BE A LITTLE MORE, SOME  
20      COULD BE LESS, BUT I'M NOT SURE THAT AT THIS POINT,  
21      SINCE YOU'VE SET THESE OUT AS GENERAL GUIDELINES THAT  
22      WILL BE REVIEWED AS NEW OPPORTUNITIES OR NEW PROBLEMS  
23      ARISE, I'M NOT SURE THAT IT MAKES A WHOLE LOT OF SENSE.  
24      I THINK IT'S VERY IMPORTANT FOR US TO MAKE SURE THAT WE  
25      HAVEN'T LEFT THINGS OUT.  AND IF WE HAVE, TO FIGURE OUT

1 HOW TO RECONFIGURE THE BUDGETS THAT ARE LEFT.

2           THERE ARE ONLY A COUPLE OF THINGS THAT I'D  
3 LIKE TO MENTION, NOT FOR DETAILED DISCUSSION TONIGHT  
4 BECAUSE I'M NOT SURE THAT'S THE APPROPRIATE WAY TO DO  
5 IT. I'M REALLY LOOKING AT THE CLINICAL EVALUATION, AND  
6 THAT'S THE 660 OR SO MILLION DOLLARS, A VERY IMPORTANT  
7 PART OF IT. AND I THINK IT BE WOULD VERY GOOD FOR THE  
8 INSTITUTE TO DECIDE WHETHER IT'S WORTHWHILE TO SET UP  
9 SOME SORT OF INFRASTRUCTURE FOR DATA MONITORING AND  
10 QUALITY APART FROM THE INDIVIDUAL GRANTEES.

11           NOW, YOU COULD SUBCONTRACT THIS OUT TO ONE OF  
12 THE INSTITUTIONS OR TO ANOTHER ORGANIZATION, BUT IT  
13 SEEMS TO ME THAT WHAT MAKES THIS WHOLE PROGRAM POSSIBLE  
14 IS CREDIBILITY. AND ESPECIALLY SINCE YOU MENTIONED  
15 EARLIER ABOUT SOME OF THE REGULATORY CHALLENGES THAT  
16 PEOPLE FACE, AND I'M NOT SUGGESTING FOR A MOMENT THAT  
17 ANY OF THE INDIVIDUAL INSTITUTIONS WILL HAVE DATA OF  
18 THE HIGHEST QUALITY, I'M ASSUMING THEY WILL, BUT EVERY  
19 REGULATORY BODY REQUIRES SOME AUDITING AND MONITORING  
20 THAT'S USUALLY NOT BUILT INTO A PLAN, AND LATER WE FIND  
21 THAT WE WISHED WE HAD. AND I RECOMMEND THAT WE  
22 CONSIDER THIS BETWEEN NOW AND DECEMBER AS TO WHETHER  
23 YOU WANT TO DO SOMETHING WITH THAT.

24           A SECOND POINT IS THAT OFTEN IT'S THE END OF  
25 GRANTS THAT GET SHORTCHANGED AND NOT THE BEGINNING. SO

1 WHEN ONE IS TALKING ABOUT THE PHASE I GRANTS OR EVEN  
2 THE PHASE II CLINICAL TRIAL GRANTS, I THINK WE SHOULD  
3 HAVE SOME EXPECTATION OF REALLY LONG-TERM FOLLOW-UP FOR  
4 THOSE INDIVIDUALS. WE TALK ABOUT A MILLION AND A HALF  
5 A YEAR FOR TWO YEARS OR THREE YEARS, AND THAT'S GREAT,  
6 EXCEPT THAT I THINK WHAT WE HAVE IS AN OBLIGATION TO  
7 FOLLOW THOSE PATIENTS FOR A MUCH LONGER PERIOD OF TIME.  
8 AND WE SHOULD BUILD THAT INTO THE PROPOSALS AS WE GO  
9 FORWARD. IF I MISSED IT, IF IT'S THERE, I APOLOGIZE.

10 DR. HALL: WE DID NOT WRITE THAT AND OUR  
11 EXPECTATION IS THAT WE MIGHT VERY WELL REQUIRE THAT IN  
12 RFA'S, PARTICULAR RFA'S, AS PART OF THE -- I MEAN ALL  
13 OF THESE THINGS WILL HAVE TO GO BACK AND BE FILLED OUT  
14 IN GREAT DETAIL, THINK ABOUT EXACTLY WHAT WE WANT.

15 I THINK THE ISSUE OF LONG-TERM FOLLOW-UP IS A  
16 VERY IMPORTANT ONE. WE'LL TALK A LITTLE BIT IN THE  
17 MORNING ABOUT OUR EGG CONFERENCE: ASSESSMENT OF  
18 MEDICAL RISK FOR EGG DONORS. CERTAINLY THAT WAS AN  
19 ISSUE THERE, THAT THERE'S AN OPPORTUNITY THERE TO  
20 REALLY LEARN MORE ABOUT THAT. AND I THINK WE WANT TO  
21 HAVE LONG-TERM AIMS FOR THESE THINGS. I THINK YOU'RE  
22 QUITE RIGHT.

23 DR. FRIEDMAN: THE COST OF FOLLOW-UP FOR  
24 LONG-TERM TOXICITIES, AND THAT'S WHAT YOU NEED TO DO  
25 EVEN FOR THE PHASE I STUDIES, CAN REALLY BE

1 SUBSTANTIAL. AND YOU'VE SORT OF LIMITED. YOU SAY  
2 THESE WILL BE THREE-YEAR GRANTS AND FOUR-YEAR GRANTS.  
3 IN A SENSE YOU DON'T MEAN THAT, OR YOU NEED TO JUST SAY  
4 THAT THEY WILL BE THREE YEARS OF INTERVENTION AND THEN  
5 AN INDETERMINATE AMOUNT OF TIME OF FOLLOW-UP. AGAIN, I  
6 DON'T WANT TO TRY AND SOLVE IT TONIGHT EXCEPT TO SAY  
7 THAT I THINK IT'S WORTH DOING THAT BECAUSE I THINK THE  
8 SIDE EFFECTS AND THE TOXICITIES WILL BE AS IMPORTANT AS  
9 THE EFFICACY FOR SOME OF THE ONCOLOGIC REASONS AND THE  
10 OTHER THINGS THAT YOU POINTED OUT EARLIER.

11 THE LAST POINT IS I THINK IT'S REALLY  
12 IMPORTANT BECAUSE OF THE FACT THAT YOU STATED RIGHT UP  
13 FRONT THAT THERE ARE GOING TO BE A LOT OF FAILED  
14 EXPERIMENTS. THERE HAVE TO BE. THAT'S THE NATURE OF  
15 THIS. AND WE'VE SCALED THE PROGRAM TO TRY AND HAVE THE  
16 OUTPUT BE SUFFICIENT, RECOGNIZING THERE'S GOING TO BE A  
17 BIG ATTRITION ALONG THE WAY. I WONDER IF IT WOULDN'T  
18 BE WORTHWHILE TO HAVE -- AGAIN, MAYBE YOU'LL BUILD IT  
19 IN AS AN EXPECTATION, OR YOU WILL HAVE A SEPARATE KIND  
20 OF GRANT MECHANISM THAT HELPS US TO UNDERSTAND OUR  
21 FAILURES. I MEAN IN THAT A RATHER FORMAL WAY. SO THAT  
22 WHEN YOU HAVE A FAILED PHASE I EXPERIMENT OR YOU HAVE A  
23 FAILED IN VITRO EXPERIMENT OR ANYTHING IS THAT THERE  
24 ACTUALLY IS SHARED LEARNING.

25 ONE OF THE THINGS WE'RE TRYING TO BUILD HERE,

1 WHETHER IT'S IN SAN DIEGO WHERE THEY'RE COLLABORATING  
2 IN UNIQUE WAYS OR OTHER PARTS OF THE STATE, YOU HAVE A  
3 SENSE OF COLLEGIALITY AND COLLABORATION THAT'S A LITTLE  
4 BIT UNUSUAL, AND THAT WE COULD ACTUALLY FOSTER  
5 SOMETHING IMPORTANT, WHICH IS TO SHARE THE LEARNINGS OF  
6 WHY AN EXPERIMENT GOES WRONG. DID IT NOT HOME RIGHT,  
7 BLAH, BLAH? YOU UNDERSTAND WHAT I'M SAYING.

8 AND I THINK THAT MIGHT BE WORTH BUILDING IN,  
9 AGAIN, AS A SORT OF FORMAL EXPECTATION. THE ONLY  
10 REASON I MENTION IT IS USUALLY THERE'S NOT MONEY FOR  
11 THAT SORT OF THING. YOU SPEND THE MONEY AND IT'S GONE,  
12 AND YOU SAY, GEE, I'D LIKE TO DO THAT, BUT IT'S JUST  
13 NOT PART OF IT.

14 DR. HALL: MAKE A COMMENT ON THAT. ROB  
15 NEGREN MADE THE COMMENT AT OUR -- FROM STANFORD, HE'S A  
16 HEMATOLOGY ONCOLOGY PERSON VERY EXPERIENCED IN BONE  
17 MARROW TRANSPLANT THAT LEADS A TEAM DOWN THERE. HE  
18 MADE THE POINT THAT WE NEED TO LEARN FROM OUR FAILURES  
19 ABOUT CLINICAL TRIALS, AND HE SAID THAT IN THE CONTEXT  
20 OF EMPHASIZING THE IMPORTANCE OF HAVING CLINICAL TRIALS  
21 CARRIED OUT IN ACADEMIC MEDICAL CENTERS AND BEING ABLE  
22 TO DO THAT.

23 AND IT'S INTERESTING. WE HAD THE INTERESTING  
24 EXPERIENCE AS WE WENT THROUGH THIS OF TALKING TO  
25 SOMEBODY FROM INDUSTRY, WHO SAID I'VE GOT A BIG

1 POWERFUL MACHINE SET UP HERE FOR DOING CLINICAL TRIALS  
2 AND FOR GETTING INFORMATION, AND IT IS ABSOLUTELY  
3 TERRIFIC; HOWEVER, IT IS AN INCREDIBLY EXPENSIVE  
4 MACHINE TO RUN. AND THIS PERSON MADE THE -- SAID, YOU  
5 KNOW, WITH ALL GOODWILL IN THE WORLD, ACADEMICS COME TO  
6 US AND THEY SAY WE REALLY WANT TO KNOW THIS QUESTION OR  
7 REALLY WANT TO KNOW THAT. CAN WE INCORPORATE IT IN OR  
8 CAN WE DO THIS?

9 AND SHE EMPHASIZED -- DIDN'T MEAN TO REVEAL  
10 THE GENDER HERE, BUT AT ANY RATE, THIS PERSON  
11 EMPHASIZED THAT ONE HAS TO MAKE JUDGMENTS, THEN, OF  
12 WHETHER YOU ACTUALLY SPEND THE MONEY TO FIND OUT THE  
13 INFORMATION. AND I THINK IT WAS AN INTERESTING  
14 PERSPECTIVE, AND I THINK THIS WILL BE A REAL CHALLENGE  
15 GOING DOWN THE LINE. THESE ARE VERY, VERY EXPENSIVE  
16 THINGS TO DO. AND SO YOU HAVE TO SORT IT OUT. WE WANT  
17 TO GET THE MOST INFORMATION, WE WANT TO MOVE IT FORWARD  
18 AS QUICKLY AS POSSIBLE. ON THE OTHER HAND, WE WILL  
19 ONLY HAVE A LIMITED AMOUNT OF MONEY AND WE WILL HAVE TO  
20 SPEND IT WISELY.

21 I DON'T KNOW THE ANSWER TO IT. I THINK YOUR  
22 UNDERLINING THE POINT IS USEFUL.

23 DR. FRIEDMAN: JUST FOR FURTHER DISCUSSION.  
24 I THINK IT'S VERY --

25 DR. HALL: WE WILL ALL LEARN MORE ABOUT AS WE

1 GET FURTHER DOWN THE LINE.

2 DR. FRIEDMAN: AND THERE WILL BE PLENTY OF  
3 OPPORTUNITIES. I THINK IT'S REALLY SOMETHING THAT THE  
4 CITIZENS OF THE STATE WILL LOOK AT IT AND SAY THAT THEY  
5 THINK WE'RE MOVING IN A THOUGHTFUL AND PROFESSIONAL  
6 DIRECTION. SO THANKS TO EVERYBODY WHO WORKED ON IT.

7 DR. HENDERSON: I'D LIKE TO ALSO STATE IT'S A  
8 VERY WELL-DONE, VERY PROFESSIONAL DOCUMENT, SOMETHING  
9 THAT YOU AND YOUR STAFF SHOULD BE VERY PROUD OF, ALL  
10 THE PEOPLE THAT CONTRIBUTED. IT'S AN ENORMOUS HELP, I  
11 THINK, TO THOSE OF US ON THE BOARD. GIVES US A LOT OF  
12 CONFIDENCE, ME, THAT WE HAVE A SENSE OF WHERE WE'RE  
13 GOING THAT I CERTAINLY DIDN'T HAVE BEFORE THIS SORT OF  
14 DOCUMENT TURNED UP. SO I CONGRATULATE YOU AND THANK  
15 YOU FOR THAT.

16 IT'S INTERESTING IN EVALUATION, ONGOING  
17 EVALUATION, YOU HAVE A COUPLE OF SENTENCES THAT ALREADY  
18 HAVE BEEN REFERRED TO ABOUT THAT PROCESS. I'VE OVER  
19 THE COURSE OF MY CAREER WRITTEN I DON'T KNOW HOW MANY  
20 PROGRESS REPORTS ON MY GRANTS ON AN ANNUAL BASIS, AND I  
21 DOUBT THAT ANYBODY EVER READ ANY OF THEM OR THAT THEY  
22 EVER WERE USED FOR ANY CONSTRUCTIVE PURPOSE OTHER THAN  
23 TO MAKE SURE I GOT THE CONTINUING BUDGET AWARD. AND IT  
24 WILL BE INTERESTING TO SEE IF YOU CAN FIND A WAY TO  
25 ACTUALLY TAKE ADVANTAGE OF PROGRESS REPORTS IN A



1 DYNAMIC FASHION INSTEAD OF THE MORE PASSIVE FASHION  
2 THAT WE'RE ACCUSTOMED TO AT THE NIH.

3 IT'S SORT OF IMPLIED YOU ARE GOING TO DO  
4 SOMETHING LIKE THAT, BUT I THINK A LITTLE MORE THOUGHT  
5 HOW TO DO THAT. I THINK IT'S BEYOND THE CAPABILITIES  
6 OF YOUR STAFF PROBABLY TO BE RUNNING THIS COMPLEX GRANT  
7 PROGRAM THAT'S GOING TO NEED SO MUCH ONGOING DAY-TO-DAY  
8 EFFORT. PERHAPS SOMEONE ELSE OR SOME OTHER GROUP NEEDS  
9 TO TAKE ON THE TASK OF HOW DO YOU MAKE PROGRESS REPORTS  
10 REALLY MEANINGFUL COMMUNICATION VEHICLES THAT NOT ONLY  
11 COMMUNICATE BETWEEN SCIENTISTS, BUT, MORE IMPORTANTLY,  
12 GIVE YOU FEEDBACK ON THE PLAN THAT YOU HAVE SO YOU HAVE  
13 SOME SORT OF ONGOING FEEDBACK. I DON'T KNOW HOW TO DO  
14 THAT. IT JUST SEEMS IT'S WORTHY OF SERIOUS DISCUSSION.

15 CHAIRMAN KLEIN: DR. THAL AND THEN DR.  
16 BRYANT.

17 DR. THAL: ZACH, WHEN I READ THE DOCUMENT, I  
18 WAS ACTUALLY ENORMOUSLY IMPRESSED WITH IT BECAUSE MOST  
19 STRATEGIC PLANS, AS YOU SAY, ARE EXTREMELY WORDY, HAVE  
20 LOFTY GOALS, AND NO SPECIFIC AIMS. YOUR TWO-LAYER  
21 APPROACH, ONE TO HAVING LOFTY GOALS, BUT ALSO HAVING  
22 SPECIFIC AIMS IS VERY WELCOME. I THINK IT'S GREAT. I  
23 THINK IT'S VERY NICE TO HAVE VERY CONCRETE SPECIFIC  
24 GOALS THAT PEOPLE CAN LOOK AT AND SAY THEY MAY BE  
25 ACHIEVABLE, THEY MAY NOT BE ACHIEVABLE.

1           I THINK SOME PEOPLE MAY HAVE LOOKED AT IT AND  
2 SAID THE GOALS ARE TOO MODEST. I WOULD ACTUALLY  
3 DISAGREE. I WOULD SAY THE GOALS ARE ACTUALLY  
4 REALISTIC; AND IF YOU CAN ACTUALLY GET AS FAR IN  
5 ACCOMPLISHING THE SPECIFIC GOALS THAT YOU'VE SET UP,  
6 GIVEN THE COSTS OF DEVELOPMENT OF REAGENTS FOR CLINICAL  
7 USE, I THINK CIRM WILL HAVE DONE EXTREMELY WELL.

8           THERE ARE ONLY TWO SORT OF SMALL SUGGESTIONS  
9 THAT I WOULD MAKE, AND I'M NOT QUITE SURE HOW TO BUILD  
10 THESE IN. ONE IS THAT YOU TALK ABOUT A COMMUNITY OF  
11 SCIENCE AND THAT'S GOING TO DEVELOP. I THINK THE  
12 QUESTION IS HOW TO HARNESS IT TO MAKE SURE THAT THE  
13 INFORMATION THAT IS GATHERED IS DISTRIBUTED AND  
14 UTILIZED. ONE WAY THAT SOME ORGANIZATIONS, FOUNDATIONS  
15 OFTEN USE ARE TO BRING PEOPLE TOGETHER ON A REGULAR  
16 BASIS. OBVIOUSLY IT'S GOING TO DEPEND ON THE NUMBER OF  
17 INVESTIGATORS THAT YOU HAVE. IF THERE ARE THOUSANDS IN  
18 THE STATE, IT'S NOT GOING TO WORK. IF THERE ARE DOZENS  
19 OR KEY INVESTIGATORS, IT WILL WORK. SO THAT PEOPLE CAN  
20 ACTUALLY HEAR WHAT OTHER PEOPLE ARE DOING ON A REGULAR  
21 BASIS BECAUSE PEOPLE AREN'T GOING TO READ OTHER  
22 PEOPLE'S PROGRESS REPORTS EVEN IF YOU POST THEM ON THE  
23 WEBSITE. BUT IF KEY ISSUES ARE DISCUSSED AT MEETINGS  
24 AND CONFERENCES, KEY PROBLEMS ARE POSED, OTHER  
25 SCIENTISTS WILL HEAR ABOUT IT AND WILL COME UP WITH

1 IDEAS. AND YOU WILL BE ABLE TO LEVERAGE THE WORK OF  
2 CIRM TO A MUCH GREATER EXTENT. SO THAT'S ONE. I THINK  
3 THAT'S GOING TO BE AN IMPORTANT ISSUE, TO MAKE SURE  
4 THAT THERE ARE FUNDS PLACED TO BRING PEOPLE TOGETHER.

5 I GUESS THE SECOND ONE, AND I'M NOT SURE HOW  
6 TO STATE IT IS, BECAUSE YOU HAVE PUT SPECIFIC NUMBERS  
7 IN HERE, TO SOMEHOW OR OTHER COUCH IT AND SAY AT THE  
8 BEGINNING THAT THESE NUMBERS ARE TO GIVE YOU A VERY  
9 GOOD IDEA OF HOW WE THINK WE WILL PROCEED. OBVIOUSLY  
10 WHEN SCIENTIFIC OPPORTUNITIES ARISE, WE WILL GRAB THOSE  
11 OPPORTUNITIES AND GO AFTER THEM. SO IT MAY BE THAT  
12 NOTHING COMES TO CLINICAL TRIALS BECAUSE THE BASIC  
13 SCIENCE MOVES TOO SLOWLY, AND SOMETHING EMERGES VERY  
14 EARLY ON IN THE COURSE OF LABORATORY INVESTIGATIONS AND  
15 IT LOOKS LIKE THAT CAN MOVE FORWARD VERY QUICKLY INTO  
16 THE CLINICAL ARENA. SO I JUST WANT TO MAKE SURE THAT  
17 YOU DON'T LOSE THE FLEXIBILITY AND THE EXCITEMENT AND  
18 THE ABILITY TO RAPIDLY TRANSITION RESOURCES AND TO MAKE  
19 THOSE DECISIONS AS WE PROCEED.

20 THOSE ARE THE ONLY TWO SUGGESTIONS.

21 DR. HALL: THANK YOU FOR YOUR COMMENTS. BOTH  
22 VERY, VERY GOOD ONES. I PERSONALLY AM A GREAT BELIEVER  
23 IN MEETINGS, AND I THINK THAT PART OF THE CREATIVITY OF  
24 BEING A SCIENTIFIC STAFF MEMBER IN A GRANTING  
25 INSTITUTION AND I THINK WHAT MAKES IT INTERESTING TO

1 PEOPLE IS THE OPPORTUNITY TO BRING PEOPLE TOGETHER IN  
2 UNEXPECTED COMBINATIONS WHERE YOU SEE THE COMMONALITY  
3 OF INTEREST, PERHAPS GET THEM TO TALK TO EACH OTHER,  
4 AND THEN OUT OF IT SOMETHING HAPPENS.

5 I PARTICIPATED IN SUCH MEETINGS, AND I'M A  
6 FIRM BELIEVER IN THEM. IT WILL BE A CHALLENGE,  
7 HOWEVER. I DON'T THINK WE NEED TO WORRY ABOUT IT  
8 TONIGHT, BUT WE WILL NEED TO WORRY ABOUT IT AS THERE  
9 ARE A LOT OF FUNCTIONS HERE WITH WORKSHOPS AND MEETINGS  
10 FOR WHICH THE SOURCE OF SUPPORT IS UNCLEAR. AND I  
11 THINK WE WILL NEED TO SPEND SOME TIME WITH LAWYERS AND  
12 OTHERS JUST SORTING OUT HOW WE CAN SUPPORT THAT. I SEE  
13 IT AS A VITAL ACTIVITY BOTH IN TERMS OF PLANNING OUR  
14 OWN PROGRAM AND THEN IN TERMS OF MAKING NEW THINGS  
15 HAPPEN, PUTTING PEOPLE TOGETHER SORT OF IN NEW WAYS  
16 THROUGHOUT THE STATE AND BEYOND.

17 AND SO, ANYHOW, WE VERY MUCH ASPIRE TO DO  
18 THAT AND WANT TO DO THAT. I APPRECIATE YOUR COMMENTS  
19 ON IT.

20 AND THE OTHER POINT IS, YES, WE DO WANT TO  
21 REMAIN FLEXIBLE. AND THAT'S WHY HAVING THE LITTLE  
22 EXTRA MONEY IS USEFUL. IT MEANS YOU CAN DO SOMETHING  
23 WITHOUT HAVING TO STOP ANOTHER PROGRAM ON THE DIME, OR  
24 YOU HAVE THAT MONEY AND THERE ARE OPPORTUNITIES THAT DO  
25 ARISE. AND I THINK WE WANT TO BE ABLE TO DO THAT. WE

1 WILL HAVE TO SEE, I THINK, WHETHER WHAT WE'VE ALLOCATED  
2 IS ENOUGH OR TOO MUCH. ALL THESE NUMBERS WILL  
3 CERTAINLY BE SHIFTED AND ADJUSTED AS WE GO FORWARD.

4 FORTUNATELY, PROPOSITION 71 HAS THE VERY WISE  
5 PROVISION THAT WE CAN KEEP MONEY OVER, SO THAT MAKES A  
6 BIG DIFFERENCE. IT'S A HUGE ADVANTAGE FOR US. AND SO  
7 I NOD TO THE AUTHOR ON THAT. THAT'S VERY MUCH  
8 APPRECIATED. THAT'S A KEY ELEMENT.

9 DR. BRYANT: I JUST WANTED TO SAY THAT I'VE  
10 JUST FOUND THIS EXPERIENCE OF GOING THROUGH THIS ONE OF  
11 THE MOST UNUSUAL EXPERIENCES IN MY LIFE IN TERMS OF  
12 READING A DOCUMENT OF THIS KIND BECAUSE, FOR ME, I FEEL  
13 LIKE YOU'VE MANAGED TO DRAW A CIRCLE AROUND THE  
14 PROBLEM. YOU'VE ENCAPSULATED IT WELL. YOU'VE ACTUALLY  
15 PUT IN A LOT OF DETAIL ABOUT HOW WE'LL DO THIS BIT OR  
16 THAT BIT, BUT IT'S ALSO FLUID. AND IT FEELS FLUID TO  
17 ME, SO IT FEELS FLUID IN A WAY THAT I'M NOT -- I DON'T  
18 FEEL LIKE I HAVE TO, EVEN THOUGH QUESTIONS ARISE, I  
19 FEEL LIKE WHY BOTHER ASKING BECAUSE I CAN SEE THAT THIS  
20 IS A DOCUMENT THAT IS DESIGNED TO BE MODIFIED AS WE GO  
21 ALONG. AND I JUST WOULD LIKE TO CONGRATULATE YOU.  
22 I'VE NEVER SEEN ANYTHING QUITE LIKE IT. IT'S VERY  
23 UNUSUAL, AND I LOVE IT.

24 DR. POMEROY: I THINK THE CLEAR-CUT CONSENSUS  
25 AT DINNER WAS THAT THIS IS AN OUTSTANDING DOCUMENT.

1 AND I TOO CONGRATULATE THE TEAM. I DID HAVE TWO  
2 QUESTIONS WHICH MAYBE I'M SURE WERE DISCUSSED DURING  
3 THIS PROCESS THAT YOU COULD CLARIFY FOR US.

4 THE FIRST IS -- THESE ARE BOTH QUESTIONS THAT  
5 HAVE COME UP BEFORE. THE FIRST IS WHAT WILL THE  
6 BALANCE BE BETWEEN EMBRYONIC, CORD, AND ADULT STEM  
7 CELLS, HOW IS THAT ADDRESSED IN THIS STRATEGY? AND THE  
8 SECOND IS WHAT WILL THE BALANCE BE BETWEEN STUDIES OF  
9 NONHUMAN VERSUS HUMAN STEM CELLS? THESE ARE BOTH  
10 THINGS THAT THE ICOC HAS BEEN ASKED ON A NUMBER OF  
11 OCCASIONS. AND I WONDER -- I'M SURE IT'S ADDRESSED IN  
12 HERE, BUT MAYBE YOU CAN SUMMARIZE.

13 DR. HALL: IT'S NOT EXPLICITLY, AND THAT'S  
14 VERY PURPOSEFUL. AND THAT IS, OUR SENSE IS THAT WHAT  
15 WE NEED TO DO IS TO FUND THE BEST SCIENCE AND IN SOME  
16 SENSE LET THAT EMERGE FROM THE PROJECTS THAT ARE  
17 PROPOSED. THAT IS, A NUMBER OF THE MECHANISMS, THE  
18 BIOLOGY OF STEM CELLS, THE INNOVATION INITIATIVE, EVEN  
19 SOME OF THE SPECIFIC ONES, IMMUNE TOLERANCE, FOR  
20 EXAMPLE, WILL HAVE TO BE DONE IN MICE AND MAYBE  
21 PRIMATES BEFORE IT'S DONE IN HUMANS. SO THERE WILL BE  
22 OPPORTUNITIES FOR A LOT OF WORK. BUT RATHER THAN SAY  
23 WE'RE GOING TO HAVE A SET ASIDE FOR THIS MUCH, WE WANT  
24 TO SEE WHAT THE SCIENCE IS LIKE AND TO LET IT EMERGE  
25 FROM THAT.

1                   SO WE WILL HAVE MANY RATHER OPEN COMPETITIONS  
2                   AND SEE WHAT'S READY AND SEE WHAT LOOKS GOOD AND WHAT  
3                   IS WORTH. WE WANT TO FUND -- ONE OF THE VALUES  
4                   ENDORSED BY THE ICOC, I THINK, IS EXCELLENCE, AND WE  
5                   WANT TO STRIVE FOR THAT AND GET THE MOST FOR OUR MONEY  
6                   IN THESE THINGS. I THINK THAT'S IN THE END THE BEST  
7                   WAY TO GO.

8                   NOW, WITH THAT SAID, WE JUST LOOKED UP TODAY,  
9                   WHICH IS VERY INTERESTING, THE FUNDING FROM NIH FOR  
10                  STEM CELLS FOR '05. AND THE TOTAL FUNDING FOR STEM  
11                  CELLS IS \$607 MILLION SPENT ON RESEARCH. HUMAN  
12                  EMBRYONIC STEM CELLS IS 39. NONHUMAN EMBRYONIC IS 95,  
13                  HUMAN NONEMBRYONIC, THAT IS, FETAL AND ADULT, IS 200;  
14                  AND NONHUMAN NONEMBRYONIC IS 273. SO OF THAT 600,  
15                  WHAT, 470 OF IT, OVER TWO-THIRDS, IS ON ADULT NONHUMAN  
16                  STEM CELLS. SO THAT WORK -- YOU UNDERSTAND MY POINT.  
17                  SO THE FIRST-RATE WORK THAT COMES OUT THAT THAT RISES  
18                  TO THE SURFACE AND THAT FOR WHATEVER REASONS IS NOT  
19                  FUNDED BY NIH, WE CERTAINLY WILL FUND. BUT I THINK WE  
20                  FEEL OUR FIRST OBLIGATION IS TO, PARTICULARLY AT THIS  
21                  MOMENT IN HISTORY, IS TO FUND HUMAN EMBRYONIC STEM CELL  
22                  RESEARCH.

23                  DR. POMEROY: I WONDER, SINCE THIS QUESTION  
24                  HAS BEEN BROUGHT UP SO OFTEN BY SO MANY PEOPLE, IF A  
25                  PARAGRAPH THAT EXPLICITLY SORT OF JUST SUMMARIZES THAT

1 THINKING WOULD BE USEFUL BECAUSE WE'RE GOING TO GET  
2 ASKED IT.

3 DR. HALL: WELL, WE ARE AND WE TRY TO WALK  
4 THE LINE ACTUALLY. WE PUT EXPLICITLY IN THE RECENT RFA  
5 THAT THE FACT THAT WE WERE CALLING FOR HUMAN EMBRYONIC  
6 STEM CELL GRANTS DID NOT MEAN THAT IN THE FUTURE WE  
7 WOULD NOT BE FUNDING OTHER GRANTS. AND THERE MAY BE  
8 SPECIAL SITUATIONS WHERE WE WILL BE. IN FACT, FOR SOME  
9 OF THESE QUESTIONS, WHAT WE KNOW ABOUT THE STEM CELL'S  
10 RELATIONSHIP TO THEIR NICHE COMES LARGELY FROM WORK IN  
11 INVERTEBRATES ACTUALLY, AND IT TURNS OUT TO BE VERY  
12 RELEVANT TO WORK IN OUR HIGHER SYSTEMS. AND I THINK  
13 THAT WILL BE TRUE AGAIN AND AGAIN, BUT IT NEEDS TO BE  
14 TIED TO SPECIFIC QUESTIONS AND QUALITY OF WORK RATHER  
15 THAN AS A SORT OF SET ASIDE.

16 SO OUR POINT IS, AND THIS WAS ORIGINALLY,  
17 AGAIN, PROPOSITION 71 EXPRESSED IT VERY CLEARLY, THAT  
18 WE GIVE PREFERENTIAL TREATMENT TO THIS AREA THAT HAS  
19 BEEN NEGLECTED BY FEDERAL FUNDS, BUT WE'RE ALSO OPEN TO  
20 OTHER OPPORTUNITIES. SO HOW TO PUT IT, WE WANT TO KEEP  
21 OUR EMPHASIS, BUT WE WANT TO KEEP THE DOOR OPEN, SO WE  
22 DON'T HAVE A SIMPLE MESSAGE TO GET OUT. IT'S A LITTLE  
23 BIT COMPLEX IN THAT WAY, BUT WE WILL TRY TO ENCOURAGE  
24 PEOPLE.

25 AND AS THEY COME OUT, WE WILL SEE THAT. I



1 MEAN IF WE HAVE A BIOLOGY OF STEM CELLS RFA, FOR  
2 EXAMPLE, THAT IT WILL BE VERY CLEAR THAT THAT CAN BE  
3 ANYWHERE, AND SAME WILL BE TRUE FOR SOME OF THE OTHER  
4 THINGS, BUT WE'VE STARTED OUT, AS YOU KNOW, WITH TRYING  
5 TO PUSH THE HUMAN EMBRYONIC BECAUSE THAT IS, AS YOU CAN  
6 SEE FROM THESE BUDGET FIGURES, THAT'S SO NEGLECTED.

7 CHAIRMAN KLEIN: ZACH, AS YOU KNOW, IN THE  
8 BRIEFING THAT I HAD MONDAY WITH YOU ON THIS, I RAISED  
9 THE SAME ISSUE THAT CLAIRE HAS RAISED. WHILE THIS IS  
10 AN EXCELLENT REPORT AND THE GOALS ARE VERY SOLID, IT  
11 WOULD BE HELPFUL POTENTIALLY, AND MAYBE THIS IS WHAT  
12 CLAIRE WAS SAYING, TO AT LEAST HAVE A SHORT STRATEGIC  
13 DISCUSSION OF THE RELATIONSHIP OF OTHER VITAL RESEARCH  
14 OPPORTUNITIES IDENTIFIED, OF COURSE, IN THE INITIATIVE  
15 AS A SECONDARY PRIORITY AND REQUIRING A TWO-THIRDS VOTE  
16 OF THE WORKING GROUP TO ADVANCE THOSE RESEARCH  
17 INITIATIVES TO MAKE CERTAIN THAT THERE WAS A REAL NEED  
18 TO ADVANCE THEM.

19 BUT WE HAVE SOME POTENTIAL OPPORTUNITIES IN  
20 THE INTERFACE BETWEEN ADULT AND EMBRYONIC STEM CELL  
21 RESEARCH. WE HEARD ABOUT THE UCLA TRIAL WITH ADULT  
22 STEM CELLS THAT HAD GENE MODIFICATIONS, AND THOSE  
23 CLINICAL TRIALS ARE IN PROGRESS; BUT TO EXPAND THOSE TO  
24 BE EFFECTIVE, THEY MAY NEED TO HAVE AN INTERFACE WITH  
25 EMBRYONIC STEM CELLS SO THAT THEY'RE NOT CUSTOMIZING TO

1 DEAL WITH ISSUES OF IMMUNE TOLERANCE. THOSE STRATEGIC  
2 INTERFACES BETWEEN ADULT AND FETAL AND CORD BLOOD AND  
3 EMBRYONIC AS WELL AS THE OPPORTUNITIES THAT MAY OCCUR  
4 BECAUSE OF SHORT FUNDING OF THE NIH, MY UNDERSTANDING  
5 IS THERE MAY BE AN ANNOUNCEMENT SOON OF FURTHER  
6 REDUCTIONS TO THAT FUNDING, WHERE THERE ARE ADVANCED  
7 OPPORTUNITIES THAT MIGHT BE BROUGHT TO CLINICAL  
8 APPLICATIONS WHERE, WITHOUT THIS FUNDING, WE'RE MISSING  
9 CRITICAL LINK IN JUST GETTING TO THAT CLINICAL TRIAL  
10 STAGE.

11 I MEAN A STRATEGIC STATEMENT JUST ON THE  
12 RELATIONSHIPS OF THESE OPPORTUNITIES TO THE PRIORITY  
13 FOR EMBRYONIC STEM CELL RESEARCH IS, I THOUGHT, CLAIRE,  
14 WHERE YOU WERE GOING.

15 DR. POMEROY: RIGHT. EXACTLY. I WOULD NOT  
16 CHANGE ANY OF THE NUMBERS, FOR EXAMPLE, OR THE  
17 CATEGORIES, BUT JUST PERHAPS A PARAGRAPH DISCUSSING THE  
18 FACT THAT COMPARATIVE STUDIES MAY BE IMPORTANT, ETC.,  
19 MIGHT BE USEFUL.

20 DR. LOVE: BOB, I WANTED TO EMPHASIZE TO ZACH  
21 THAT I THINK THIS WAS AN EXTRAORDINARY DOCUMENT. AND  
22 WHEN I BEGAN TO READ IT, QUITE FRANKLY, I HAD NO IDEA  
23 HOW MUCH WORK HAD BEEN DONE, HOW MUCH THOUGHTFUL  
24 THINKING HAD BEEN DONE. AND ACTUALLY JUST READING THE  
25 DOCUMENT WAS EXTRAORDINARY BECAUSE IT READ LIKE A

1 DOCUMENT THAT WAS WRITTEN BY A SINGLE INDIVIDUAL EVEN  
2 THOUGH WE ALL KNOW THAT NO INDIVIDUAL COULD REPRESENT  
3 ALL THE KNOWLEDGE CONTAINED IN THE DOCUMENT. SO IT WAS  
4 ABSOLUTELY EXTRAORDINARY. AND MY GREATEST  
5 CONGRATULATIONS TO YOU.

6 YOU ASKED US FOR HIGH LEVEL FEEDBACK. I DO  
7 THINK YOU WERE RIGHT ON THE MARK ON ALMOST EVERYTHING  
8 CONTAINED IN THE DOCUMENT. I DO WANT TO EMPHASIZE ONE  
9 THING, THOUGH, THAT MICHAEL MENTIONED. AND THAT IS  
10 THAT I DO THINK THAT, AS WE GO FORWARD, WE'VE GOT TO  
11 MAKE SURE THAT SAFETY TAKES AN EXTRAORDINARILY UNUSUAL  
12 PRIORITY BECAUSE I THINK WE ALL KNOW THAT NOTHING KILLS  
13 RESEARCH, NOTHING CREATES CRISIS IN AN AREA OF RESEARCH  
14 LIKE SAFETY. AND SO I THINK AS WE EXPOSE PATIENTS, AS  
15 WE ENJOY THE PATIENTS COMING FORWARD, ALLOWING  
16 THEMSELVES TO BE SUBJECTS FOR THIS THERAPY, WE'VE  
17 REALLY GOT TO MAKE SURE THAT WE'RE VERY THOUGHTFUL  
18 ABOUT THE FOLLOW-UP OF THOSE PATIENTS AND MAKE SURE  
19 THAT WE HAVE THE RIGHT KIND OF SYSTEMS IN PLACE TO TRY  
20 TO PICK UP PATTERNS AND PICK UP PROBLEMS AS QUICKLY AS  
21 POSSIBLE.

22 AND I THINK YOU ALL KNOW THAT THE FDA AND  
23 OTHERS ARE REALLY VERY MUCH FOCUSED ON THE RIGHT KINDS  
24 OF SYSTEMS AND TECHNOLOGIES TO FOLLOW SAFETY, AND I  
25 THINK WE SHOULD REALLY MAKE SURE THAT WE LEVERAGE ALL

1 OF THAT THINKING AND BRING IT TO BEAR IN THIS PROGRAM.

2 DR. WRIGHT: ZACH, YOU REFERRED TO FOOTBALL  
3 EARLIER. I THINK WE'RE GOING TO GET PENALIZED FOR  
4 PILING ON. THAT'S A PENALTY FLAG, RIGHT? PILING ON IN  
5 A POSITIVE WAY. I WOULD JUST AGAIN COMMEND THE ENTIRE  
6 TEAM WHO PRODUCED THIS DOCUMENT. IT WAS ACTUALLY FUN  
7 READING. I AGREE WITH SUSAN. WHOEVER THOUGHT READING  
8 A STRATEGIC PLAN WOULD BE FUN? SUSAN TALKED ABOUT  
9 GETTING THIS WHOLE CIRCLE, AND I WAS THINKING ON THE  
10 PLANE, NOT THAT I JUST READ IT ON THE PLANE, ABOUT A  
11 SKELETON. YOU GUYS HAVE GIVEN US A NICE STURDY  
12 SKELETON ON WHICH TO ADD ALL THE IMPORTANT BODY PARTS  
13 THAT WILL FOLLOW.

14 AND I JUST WANT TO ESPECIALLY COMMENT ON THE  
15 INCLUSION OF OUR OBLIGATION TO THE PUBLIC AND CITIZENS,  
16 BOTH IN TERMS OF EDUCATING THEM ABOUT THE SCIENCE AND,  
17 I GUESS USED COUPLE OF TIMES, MANAGING EXPECTATIONS AND  
18 HOW CRITICAL THAT IS TO BALANCE THE HOPE AND HYPE  
19 COMPONENTS. IT WAS MENTIONED SEVERAL TIMES DURING THE  
20 WHOLE DOCUMENT OR WITHIN THE DOCUMENT, KIND OF WOVEN  
21 THROUGHOUT, SO I LIKE THE FACT THAT IT WAS INTEGRATED  
22 IN EVERY PART. AS YOU ADDRESS THE SCIENCE, YOU ALSO  
23 ADDRESSED THE PUBLIC EDUCATION COMPONENT. SO MANY  
24 CONGRATULATIONS.

25 MS. FEIT: I WANT TO CONGRATULATE THE STAFF

1 AND EVERYBODY. THE STRATEGIC PLANNING ADVISORY  
2 COMMITTEE IS OUTSTANDING. I READ THROUGH THE DOCUMENT  
3 PARTLY YESTERDAY AND TODAY, AND IT REALLY PULLED  
4 TOGETHER THE WORK THAT THE INSTITUTE HAS BEEN DOING IN  
5 THE LAST TWO YEARS IN SUCH A FLUID WAY. I PARTICULARLY  
6 WAS IMPRESSED WITH HOW THE FUNDING WAS LAID OUT, AND I  
7 THINK GOING FORWARD, THAT'S GOING TO BE IMPORTANT TO  
8 HAVE THAT IN THE DOCUMENT. SO CONGRATULATIONS TO ALL  
9 OF YOU.

10 I HAVE A COUPLE QUESTIONS. ONE WOULD BE ON  
11 IF THERE'S GOING TO BE A LITTLE MORE FORMAL WORK DONE  
12 AROUND THE IMPLEMENTATION PHASE OF THE STRATEGIC PLAN  
13 AS WE GO FORWARD. WE'VE TALKED BITS AND PIECES ABOUT  
14 DOING CERTAIN THINGS UNDER CERTAIN CATEGORIES, BUT IF  
15 THAT IS GOING TO BE FORMALIZED.

16 AND THEN THE SECOND QUESTION WOULD BE AROUND  
17 COMMUNICATION OF THE STRATEGIC PLAN TO THE PUBLIC.  
18 IT'S ONE OF THE BEST DOCUMENTS I'VE READ, AND IT WAS --  
19 I WOULD ECHO DR. WRIGHT'S COMMENTS. IT WAS ENJOYABLE  
20 TO READ. SO CONGRATULATIONS.

21 DR. HALL: THANK YOU VERY MUCH, MARCY. WE  
22 WOULD WELCOME SUGGESTIONS ABOUT COMMUNICATION TO THE  
23 PUBLIC. IT'S NOT A SMALL DOCUMENT. AND THE EXECUTIVE  
24 SUMMARY, WHILE USEFUL, AT LEAST TO MY READING, IS DRY.  
25 AND I FIND IT MUCH LESS INTERESTING THAN THE BODY OF

1 IT. AND SO IF ANYBODY HAS THOUGHTS ABOUT THAT, WE  
2 WOULD WELCOME THAT. I DON'T KNOW HOW WE CAN BEST DO  
3 IT. WE WILL BE FACING THAT AS ALL OF US GO OUT AND  
4 TALK. WE WILL NEED A WAY TO PRESENT IT TO SORT OF TRY  
5 TO CAPTURE SOME OF WHAT WE'VE DONE IN A CONCISE WAY, AN  
6 ENGAGING WAY.

7 AS FOR THE IMPLEMENTATION, I THINK THAT'S  
8 PRECISELY WHAT WE MEAN BY FIRST THOUSAND DAYS. AND SO  
9 WE WILL BACK AND SAY, NOW, OKAY, IN GREAT DETAIL HERE'S  
10 WHAT WE'RE GOING TO BE DOING NEXT YEAR WITH THESE AND  
11 HERE IN THE NEXT YEAR, AGAIN NOT FINAL. WE WILL BRING  
12 EACH RFA TO THE ICOC FOR DISCUSSION AND APPROVAL, BUT  
13 WE HAVE TO HAVE SOME SORT OF COORDINATED PLAN,  
14 OTHERWISE WE CAN'T HAVE -- 25 INITIATIVES IS A LOT,  
15 SOME WITH SEVERAL RFA'S, AND WE CAN'T HAVE THESE JUST  
16 COMING OUT HELTER-SKELTER.

17 I DID NOT MENTION ABOUT PRIORITIES. THERE IS  
18 A PAGE -- I THINK WE DECIDED IT WAS TOO CUMBERSOME TO  
19 PUT IN, BUT IF YOU LOOK AT PAGE 18, YES, PAGE 18 IN THE  
20 EXECUTIVE SUMMARY, IT'S ALSO REPRODUCED ELSEWHERE, BUT  
21 THE POINT IS THAT WE DON'T NEED TO GO THROUGH THIS IN  
22 DETAIL, BUT JUST AS YOU GLANCE, WHAT YOU SEE IS THAT IN  
23 DIFFERENT PHASES OF THE TEN-YEAR PLAN PROJECTS RISE AND  
24 FALL IN RELATIVE IMPORTANCE. AND I THINK WE WILL ALL  
25 AGREE THAT THAT'S APPROPRIATE. WHETHER THE EXACT

1 CHOICE HERE IS THE CORRECT ONE, WE CAN DISCUSS. BUT IN  
2 CASE, WE WILL TRY TO ORDER THESE IN SOME WAY AND THEN  
3 BRING THEM TO YOU. AND THE DECEMBER PART, THAT FIRST  
4 THOUSAND DAYS, WILL BE A VERY DETAILED IMPLEMENTATION  
5 PLAN OVER THE NEXT THREE YEARS. AGAIN, YOU KNOW, FOR  
6 THE NEXT SIX MONTHS, WE BETTER BE PRETTY CLOSE TO  
7 RIGHT, AND THREE YEARS FROM NOW, OF COURSE, WE MAY  
8 CHANGE IT, BUT WE WILL TRY TO DO THAT.

9 MS. SAMUELSON: I HAD ONE THOUGHT ON THE  
10 COMMUNICATIONS ROUTE BEFORE I PILE ON FOR A SECOND  
11 MYSELF, WHICH IS I THINK THAT WE WILL NEED AN  
12 INNOVATIVE COMMUNICATIONS ENTERPRISE THAT'S AS  
13 INNOVATIVE AS THIS WHOLE EFFORT FROM THE DRAFTING OF  
14 THE INITIATIVE HAS BEEN AND AS INVOLVING OF THE PEOPLE  
15 OF THE STATE OF CALIFORNIA AND BEYOND. BECAUSE I THINK  
16 THIS WILL SUCCEED IF AND ONLY IF THEY'RE WITH US AND  
17 UNDERSTAND WHAT WE'RE DOING AND WHAT THE RISKS ARE AND  
18 WHAT'S APPROPRIATE RISK AND WHAT ISN'T AND CAN BACK US  
19 WHEN WE TRIP AND FALL, WHICH WE WILL HAVE TO DO IF  
20 WE'RE GOING TO BE MOVING AGGRESSIVELY ENOUGH AND SO ON,  
21 WHICH WILL TAKE DESCRIBING SCIENCE CLEARLY ENOUGH AND  
22 SO ON.

23 I WOULD ASSUME THAT NONE OF US KNOW HOW TO DO  
24 THAT BECAUSE THAT'S NOT WHAT WE ALL WERE TRAINED TO DO,  
25 AND WE'LL NEED TO BRING IN SOME VERY CLEVER

1 PROFESSIONALS.

2 BUT WHAT I REALLY WANTED TO SAY IS I'VE GOT  
3 VARIOUS THOUGHTS AND COMMENTS. IT'S THOUGHT PROVOKING,  
4 WHICH IS ONE OF THE WONDERFUL THINGS ABOUT IT, AND  
5 SEVERAL OF THEM HAVE ALREADY BEEN MENTIONED. BUT I  
6 DON'T REALLY WANT TO GET INTO THAT BECAUSE I JUST THINK  
7 IT'S SUCH AN EXTRAORDINARY DOCUMENT AND SUCH A  
8 PRODIGIOUS WORK PRODUCT BY SO MANY PEOPLE, AND IT  
9 EVIDENCES SO MUCH HARD WORK, THAT I'D JUST RATHER KIND  
10 OF LEAVE IT AT THAT RIGHT NOW. I DON'T WANT THAT TO  
11 GET LOST IN THE SHUFFLE OF LOTS OF WHAT WE'LL DO NEXT,  
12 WHICH THE EXCITING THING ABOUT IT IS THAT IT DOES  
13 PROVOKE ALL OF THAT. THANK YOU SO MUCH FOR ALL YOUR  
14 HARD WORK.

15 WHEN YOU CONSIDER THAT WE DIDN'T HAVE  
16 ANYTHING IN NOVEMBER OF '04, AND THAT WASN'T THAT LONG  
17 AGO, THAT'S A WONDERFUL THING. SO THANK YOU.

18 DR. HALL: I APPRECIATE THAT. I THINK ALL OF  
19 US DO. WE APPRECIATE THAT VERY MUCH.

20 THE COMMUNICATION THINGS IS INTERESTING AND  
21 IMPORTANT, AND I THINK IT'S A PROBLEM WE STRUGGLE WITH  
22 NATIONWIDE IN THE WHOLE HOW TO BALANCE THESE THINGS. I  
23 KNOW THERE IS A LOT OF DISCUSSION ABOUT IT IN VARIOUS  
24 PLACES. BUT AS FAR AS CIRM IS CONCERNED, I THINK MY  
25 VIEW HAS BEEN THAT IN THINKING ABOUT ALL OF OUR



1     ACTIVITIES, THAT THE FIRST ORDER OF BUSINESS IS TO GET  
2     OUR SCIENTIFIC STRATEGIC PLAN IN PLACE. THAT IS, TO  
3     UNDERSTAND WHAT IT IS WE'RE ABOUT IN OUR CENTRAL  
4     MISSION, AND THEN WE CAN ADD THESE OTHER PIECES AROUND  
5     THAT TO ADVANCE THAT MISSION. COMMUNICATIONS, FOR  
6     EXAMPLE, BEING ONE VERY IMPORTANT PART OF THEM, THE  
7     COMMUNITIES OF SCIENCE THAT LEON MENTIONED, AND I THINK  
8     THERE MAY BE OTHER THINGS THAT ACTUALLY WE DON'T TOUCH  
9     ON IN THE REPORT. BUT THERE WILL NEED TO BE OTHER  
10    PIECES OF OUR ACTIVITY THAT NOW GET FILLED IN AND I  
11    HOPE DEFINED AND ORIENTED BY WHAT'S IN THE PLAN.

12                 MS. SAMUELSON: THAT MAKES SENSE TO ME, DOING  
13    IT IN THAT ORDER. GREAT.

14                 CHAIRMAN KLEIN: ZACH, DOES ONE OF THOSE  
15    ADDITIONAL PIECES DEAL WITH INTERNATIONAL  
16    COLLABORATION? FOR EXAMPLE, THE AUSTRALIAN GOVERNMENT  
17    OF VICTORIA STATE HAS ANNOUNCED A HUNDRED MILLION  
18    DOLLAR JOINT VENTURE WITH UNIVERSITY OF CALIFORNIA SAN  
19    DIEGO. IS THERE ANOTHER PIECE THAT WOULD ADDRESS  
20    INTERNATIONAL COLLABORATION AND COMPARATIVE ADVANTAGE  
21    WHERE WE LOOK AT THE COMPARATIVE ADVANTAGE OF CERTAIN  
22    COUNTRIES AND CERTAIN SPECIALIZED AREAS OF RESEARCH,  
23    COMPARE THAT TO THE RESEARCH INITIATIVE IN CALIFORNIA,  
24    MAKE CERTAIN THAT WE'RE REALLY WORKING OFF THE BENEFIT  
25    OF THEIR KNOWLEDGE AND EXPERTISE RATHER THAN PURELY

1 DUPLICATING IT?

2 THE ISSUE IS HOW SHOULD WE THINK ABOUT THE  
3 COLLABORATION? WE'RE PART OF THE INTERNATIONAL STEM  
4 CELL FORUM, WHICH IS A GREAT PRIVILEGE. AND FOR THE  
5 PUBLIC'S BENEFIT AND OUR BENEFIT, I THINK LOOKING AT  
6 THE ISSUES OF RESOURCE ALLOCATION, COMPARATIVE  
7 ADVANTAGE, AND INTERNATIONAL COLLABORATION AS A WAY TO  
8 LEVERAGE AND EFFICIENTLY ALLOCATE OUR RESOURCES IS A  
9 SEPARATE PIECE MAYBE, BUT AN IMPORTANT AREA TO EXPLORE.

10 DR. HALL: WE THOUGHT -- WE CERTAINLY WANT TO  
11 HAVE COLLABORATIONS WITH, NOT ONLY INTERNATIONAL  
12 COLLABORATIONS, WE WANT TO HAVE COLLABORATIONS WITH  
13 DISEASE GROUPS, INJURY GROUPS, WE WANT TO HAVE  
14 COLLABORATIONS, AS I'VE SAID, WITH OTHER STATES, AND,  
15 WHO KNOWS, MAYBE ONE DAY EVEN WITH OUR OWN COUNTRY.

16 CHAIRMAN KLEIN: OPTIMISM.

17 DR. HALL: AND WE THOUGHT ABOUT WHETHER WE  
18 SHOULD SET UP SOME SORT OF SPECIAL MECHANISM FOR THAT.  
19 AND I THINK THAT WHERE WE CAME DOWN WAS THAT WE SHOULD  
20 KEEP THE PRIORITIES RELATED TO SCIENTIFIC AIMS AND BE  
21 OPEN AT ANY POINT TO FITTING IN THESE COLLABORATIONS.  
22 THAT IS, IT'S VERY IMPORTANT THAT THEY MAKE SCIENTIFIC  
23 SENSE, AND THAT WE DON'T DO IT JUST BECAUSE IT'S A  
24 COLLABORATION.

25 WE WERE IN A DISCUSSION ACTUALLY WITH A

1 CANADIAN GROUP IN VANCOUVER NOT TOO LONG AGO, AND THEY  
2 HAD AN IDEA FOR A COLLABORATION FOR CANCER STEM CELLS.  
3 BUT IN MY VIEW, THE PROBLEM IS IT'S NOT OUR JOB TO PUT  
4 THAT IN PLACE. THE CANCER STEM CELL SCIENTISTS IN  
5 CALIFORNIA HAVE TO BE ENTHUSIASTIC ABOUT IT, AND WE  
6 HAVE TO BE CONVINCED THAT WE GET MORE OUT OF IT BY  
7 HAVING BOTH TOGETHER. THEN IT REALLY IS SYNERGISTIC.  
8 WE CAN DO THAT. I THINK THERE WILL BE OPPORTUNITIES,  
9 AND THE REAL POINT IS THERE'S A LOT OF STEM CELL  
10 RESEARCH THAT DOES NOT GO ON IN CALIFORNIA. AND THERE  
11 ARE WHOLE AREAS THAT ARE NOT PARTICULARLY WELL  
12 REPRESENTED HERE, AND WE WILL NEED TO MAKE PARTNERSHIPS  
13 WITH THOSE. AND HOW TO GUIDE AND FOSTER THOSE  
14 PARTNERSHIPS WITHOUT DIRECTING THEM FROM THE TOP DOWN,  
15 WHICH IS ALWAYS, I THINK, A MISTAKE, WILL BE THE NARROW  
16 LINE THAT ONE HAS TO WALK.

17 SO WE'RE OPEN, WE'RE INTERESTED, WE WANT TO  
18 MAKE OUR MECHANISMS AVAILABLE. AND IF THERE CAN BE  
19 SOMETHING PUT TOGETHER THAT FITS INTO ONE OF OUR  
20 INITIATIVES, AND OUR INTENT IS THAT IT WOULD BE, THEN  
21 WE WOULD WELCOME THAT. THAT WOULD BE TERRIFIC.

22 CHAIRMAN KLEIN: IF IT'S APPROPRIATE, ZACH,  
23 COULD WE TAKE QUESTIONS FROM THE AUDIENCE?

24 DR. HALL: ABSOLUTELY.

25 CHAIRMAN KLEIN: ARE THERE MEMBERS OF THE

1 AUDIENCE THAT WOULD LIKE TO MAKE COMMENTS OR HAVE  
2 QUESTIONS? IF YOU WILL TRY AND KEEP IT TO THREE  
3 MINUTES SO THAT IF THERE'S MULTIPLE SPEAKERS, YOU'LL  
4 ALL BE HEARD.

5 MR. REED: THIS IS WHAT HAD TO HAPPEN FOR  
6 EVERYBODY'S DREAMS TO GO FORWARD. AND THANK YOU,  
7 EVERYBODY, FOR MAKING THIS MAGNIFICENT THING A REALITY.  
8 IT'S TREMENDOUS.

9 THE ONLY SUGGESTION THAT I WOULD HAVE IS I  
10 WOULD LIKE THE ASPIRATION PART HIT HARDER. I'M NOT  
11 HERE TO FIND A NEW DEGREE OF A SCIENTIFIC PROBLEM  
12 SOLVED. I'M HERE SO THAT MY SON WILL WALK AGAIN. AND  
13 I KNOW YOU FEEL EXACTLY THAT SAME WAY. EVERYBODY HERE  
14 SHARES THAT. WE KNOW THAT. I THINK THAT HAS TO COME  
15 OUT STRONGER IN THE ASPIRATIONAL PART, EVEN IF IT'S A  
16 SERIES OF QUESTIONS. WILL IT BE POSSIBLE FOR US TO  
17 REBUILD THE HUMAN EYE FROM WITHIN AND GIVE SIGHT TO THE  
18 BLIND? WILL WE SEE OUR CHILDREN WALK AGAIN? WILL WE  
19 SEE LIVES SAVED IN THIS GENERATION? FOR THESE GREAT  
20 THINGS TO HAPPEN, HERE ARE THE CONCRETE STEPS WE MUST  
21 DO FIRST.

22 I THINK THAT THE HARD PART IS DONE, BUT I DO  
23 THINK WE NEED A LITTLE BIT MORE ON WHAT BROUGHT  
24 EVERYBODY HERE IN THE FIRST PLACE. SO THANK YOU FOR A  
25 MAGNIFICENT JOB, AND THOSE ARE MY THOUGHTS. ALSO, I

1 HAVE TO SAY, WELL, MY SON IS GOING TO SAY IT BETTER. I  
2 AM TOO MOVED. MY SON, MY SON, PLEASE.

3 CHAIRMAN KLEIN: ROMAN REED.

4 MR. ROMAN REED: THANK YOU, LADIES AND  
5 GENTLEMEN. HOW KISMET IT IS TODAY TO BE HERE ON A DAY  
6 WHEN AT UC BERKELEY AND AT UC IRVINE, CHRISTOPHER REEVE  
7 IS BEING HONORED FOR ALL THAT HE DID. WHEN YOU THINK  
8 OF CHRISTOPHER REEVE, YOU THINK OF A GREAT MAN WHO LAID  
9 FORTH A PATH FOR ALL THE CURES TO BE ABLE TO FIND THE  
10 WAY TO THE PEOPLE WHO SUFFER. CHRISTOPHER REEVE BLAZED  
11 A PATH.

12 AND WHEN I LOOK AT THIS DOCUMENT, I STILL  
13 FEEL AKIN TO HAVING A ROAD MAP TO CURES. I WOULD LIKE  
14 TO THANK YOU SO MUCH FOR ALL OF YOU THAT HAVE DONE SO  
15 MUCH TIRELESS AMOUNTS OF WORK AND EFFORT. AND I THANK  
16 YOU FROM THE BOTTOM OF MY HEART BECAUSE I BELIEVE ONE  
17 DAY THAT YOU ARE GOING MAKE MY PROMISE TO MY SON COME  
18 TRUE. AND I PROMISED MY SON THAT ONE DAY I WOULD BE  
19 ABLE TO WALK, STAND NEXT TO HIM, AND GO HOLD MY WIFE'S  
20 HAND. AND SEEING THIS ROAD MAP TO CURES, I KNOW THAT  
21 THIS WILL COME TRUE.

22 FROM THE BOTTOM OF MY HEART, I THANK EACH AND  
23 EVERY ONE OF YOU. THANK YOU.

24 (APPLAUSE.)

25 DR. HALL: I THINK WE WANT TO THANK THE REEDS

1 FOR BEING A CONSONANT AND CONTINUAL SOURCE OF  
2 INSPIRATION TO US. THEY'VE BEEN WONDERFUL,  
3 MAGNIFICENT.

4 CHAIRMAN KLEIN: ARE THERE ADDITIONAL  
5 QUESTIONS OR COMMENTS FROM THE PUBLIC?

6 MR. SIMPSON: JOHN SIMPSON FROM THE  
7 FOUNDATION FOR TAXPAYER AND CONSUMER RIGHTS. I THINK  
8 IT'S VERY IMPORTANT TO HAVE THE ASPIRATIONAL GOALS THAT  
9 THE REEDS JUST REFERRED TO. BUT I ALSO THINK THAT IT  
10 IS TREMENDOUSLY IMPORTANT FOR ALL CALIFORNIANS THAT  
11 THERE BE A REALISTIC ASSESSMENT OF WHAT CAN BE EXPECTED  
12 OVER THE NEXT DECADE. I THINK THIS DOCUMENT DOES THIS  
13 VERY WELL. ALL TOO OFTEN THERE HAS BEEN HYPE  
14 ASSOCIATED WITH STEM CELL RESEARCH. WE KNOW THAT IT  
15 WILL GIVE US THE CURES SOMETIME, BUT I THINK THAT THIS  
16 IS A VERY REALISTIC DOCUMENT THAT HAS BENCHMARKS THAT  
17 ARE ACHIEVABLE WITH SOME VERY HARD WORK, AND IT'S AN  
18 IMPORTANT RECOGNITION OF THAT. SO IT'S A VERY, VERY  
19 GOOD DOCUMENT.

20 I WAS PARTICULARLY PLEASED WITH THE OUTREACH  
21 AND THE PUBLIC WAY IN WHICH IT WAS PULLED TOGETHER.  
22 THAT WAS A PROCESS THAT DID NOT LOOK LIKE IT WAS GOING  
23 TO START OUT THAT WAY, BUT EVOLVED. ONCE THE PLAN FOR  
24 THE PLAN CAME OUT, IT WAS CLEAR THAT IT WAS AN  
25 EXCELLENT THING. YOU EVEN TALKED TO ME. AND I THINK I

1 MIGHT HAVE EVEN HAD A FEW GOOD IDEAS THAT WENT INTO IT.  
2 THE OTHER THING I WOULD SAY IS THIS, AND THAT  
3 IS THAT NO MATTER HOW GOOD A SCIENTIFIC STRATEGIC PLAN  
4 IS, TO A CERTAIN EXTENT, IT'S MEANINGLESS IF YOU DON'T  
5 HAVE OTHER POLICIES IN PLACE THAT PROVIDE FOR ACCESS  
6 AND AFFORDABILITY FOR ALL OF THE FRUITS OF THE RESEARCH  
7 THAT COME OUT. I WOULD THINK THAT THE IP POLICIES ARE  
8 WHERE THAT'S GOING TO HAVE TO HAPPEN, AND I'LL PROBABLY  
9 RAISE A FEW POINTS ABOUT THAT TOMORROW BECAUSE I DON'T  
10 THINK THEY'RE THERE YET.

11 FINALLY, I WOULD ASK A QUESTION. AS SOME OF  
12 MAY WELL KNOW, WE DON'T LOOK TOO FAVORABLY ON THE  
13 PATENTS HELD BY THE WISCONSIN ALUMNI RESEARCH  
14 FOUNDATION. WE HAVE CHALLENGED THEM. THEY HAVE  
15 GRANTED -- THE USPTO HAS GRANTED THAT REEXAMINATION.  
16 AND THEY SAY THAT IN 70 PERCENT OF SUCH CASES THE  
17 CLAIMS ARE AT LEAST NARROWED. BUT MY QUESTION IS TO  
18 THE VERY IMPORTANT WORK OF THE STEM CELL BANK, HOW  
19 WOULD THAT BE POSSIBLE IF THOSE PATENTS ARE  
20 UNFORTUNATELY UPHELD? I'M ASSUMING WE WOULD HAVE TO  
21 HAVE FULL, FAIR, FRANK EXCHANGES OF VIEWS IN A MUTUALLY  
22 PRODUCTIVE ATMOSPHERE WITH COLLEAGUES IN WISCONSIN AND  
23 WOULD HAVE TO GET LICENSES, WHICH THEY MIGHT NOT AT ALL  
24 BE INCLINED TO OFFER, FOR A STEM CELL BANK HERE.

25 SO MY QUESTION IS HAS THERE BEEN THOUGHT

1 GIVEN IN A SERIOUS WAY TO THE LICENSING ASPECTS OF NOT  
2 JUST THE STEM CELL BANK, BUT SOME OF THE OTHER ASPECTS  
3 OF THE PLAN? THANK YOU.

4 DR. HALL: THE SIMPLE ANSWER IS WE HAVE NOT  
5 REALLY LOOKED AT THAT IN DETAIL. WE WILL, I THINK, BE  
6 HAVING DISCUSSIONS TOMORROW AND LATER ABOUT THIS, BUT  
7 THIS IS NOT SOMETHING WE TRIED TO ADDRESS. I THINK  
8 THERE'S CLEARLY SCIENTIFIC NEED FOR THE STEM CELL BANK.  
9 HOW THAT WOULD WORK IN TERMS OF THE LICENSES IN TERMS  
10 OF WARF, I THINK WE WOULD HAVE TO SORT OUT.

11 MS. GLORIA REED: MY NAME IS GLORIA REED, AND  
12 I JUST WANTED TO THANK EVERYONE FOR CHOOSING MY SON'S  
13 SLOGAN AND PUTTING HIS NAME ON THE BROCHURE. THANK  
14 YOU.

15 MS. SAMUELSON: IT'S EASY. IT'S SO GOOD.

16 CHAIRMAN KLEIN: ANY ADDITIONAL COMMENTS?

17 DR. FRIEDMAN: I DON'T WANT TO PROLONG THIS.  
18 JUST A COUPLE OF OTHER THOUGHTS OCCURRED TO ME. ONE IS  
19 THAT WE HAD A CONSIDERABLE DISCUSSION AT AN EARLIER  
20 POINT ABOUT THE INVOLVEMENT OF ORGANIZATIONS TO HELP  
21 DRAFT THE PLAN AND TO SPEND SOME MONEY TO DO THAT. AND  
22 I SUGGEST THAT, SINCE ONE OF THE THINGS WE DO IS SHOW  
23 THE CITIZENS OF THE STATE THAT WE'RE GOOD STEWARDS WITH  
24 THEIR MONEY, I THINK AS MUCH CONGRATULATIONS AS I OFFER  
25 TO THE INTERNAL STAFF, I FEEL REASONABLY CONFIDENT THAT



1 WE WOULDN'T HAVE HAD SUCH A FINE AND POLISHED DOCUMENT  
2 WITHOUT ASSISTANCE OF THE PROFESSIONAL CONSULTATION.  
3 AND THAT I THINK YOU ALL ARE TO BE RECOGNIZED FOR  
4 HAVING MANAGED THAT PART OF IT SO WELL. EACH TIME WE  
5 DO THIS, WE LEARN SOMETHING, AND WE WANT TO BE VERY  
6 CAREFUL WITH EACH DOLLAR WE SPEND, BUT I THINK THIS IS  
7 A REAL GOOD INVESTMENT AND THAT IT WAS PROPERLY DONE  
8 AND I THINK CONFIRMS THE WISDOM OF DOING IT THAT WAY.

9 THE SECOND IS TO JUST STATE THE OBVIOUS. ALL  
10 OF US HAVE BEEN INVOLVED WITH STRATEGIC PLANS. AND  
11 WHEN WE START OFF WITH ARTICULATING THEM, IT SEEMS LIKE  
12 THAT'S THE HARDEST THING IN THE WORLD. WHEN WE LOOK  
13 BACK, OF COURSE, THAT'S THE EASIEST THING IN THE WORLD,  
14 AND THE HARD WORK REALLY STARTS ONCE YOU APPROVE THE  
15 PLAN. EVERYTHING DEPENDS ON EXECUTION, EVERYTHING  
16 DEPENDS ON DISCIPLINES AND RIGOR, AND THE HARD WORK  
17 GETS MUCH, MUCH MORE INTENSE AS WE MOVE ON. THAT  
18 SHOULDN'T DETRACT FROM THE FEELING THIS EVENING OF WHAT  
19 A FINE START THIS IS.

20 DR. HALL: THANK YOU. LET ME JUST ECHO YOUR  
21 COMMENTS ABOUT THE PRICE WATERHOUSE TEAM. THEY HAVE  
22 BEEN ABSOLUTELY TERRIFIC. THE NICEST PART IS HOW WELL  
23 WE HAVE WORKED TOGETHER WITH THEM. AND I WOULD SAY  
24 THAT I CAN TELL YOU WE GOT A LOT FOR -- WE GOT OUR  
25 MONEY'S WORTH. THESE GUYS WORKED VERY, VERY HARD, THEY

1 REALLY DID, SO WE ARE GRATEFUL.

2 (APPLAUSE.)

3 CHAIRMAN KLEIN: I THINK, MR. PRESIDENT, IF  
4 YOU HAVE NO OTHER COMMENTS, THAT WE SHOULD ADJOURN. WE  
5 ACTUALLY --

6 DR. HALL: MR. CHAIR, I HAVE ONE COMMENT JUST  
7 TO MAKE. WE RECEIVED A LETTER FROM THE GREENLINING  
8 INSTITUTE ABOUT OUR POLICIES WITH RESPECT TO  
9 CONTRACTORS AND FACILITIES. I THINK THAT LETTER IS  
10 AVAILABLE.

11 MS. KING: IT WILL BE TOMORROW.

12 DR. HALL: IT WILL BE AVAILABLE TOMORROW.  
13 AND I JUST WANTED TO SAY MY SENSE WAS THAT IT CAME TO  
14 US BECAUSE -- THROUGH THE STRATEGIC PLAN, BUT I MAY BE  
15 WRONG.

16 MS. KING: ACTUALLY IT ADDRESSES AN AGENDA  
17 ITEM ON THE AGENDA TOMORROW. IT'S ACTUALLY AGENDA ITEM  
18 NO. 7. IT'S TO DO WITH THE FACILITIES WORKING GROUP.

19 DR. HALL: YES. IT REALLY IS AN ITEM THAT  
20 WILL BE APPROPRIATE FOR A FACILITIES RFA. I THINK  
21 THAT'S WHERE IT PROBABLY SHOULD BE TAKEN CARE OF OR  
22 PERHAPS OUR GRANTS ADMINISTRATION POLICY FOR  
23 FACILITIES. BUT JUST TO SAY WE RECEIVED THE LETTER.  
24 WE APPRECIATED IT. WE ARE NOT IGNORING IT. ITS TIME  
25 HAS NOT COME YET IS MY VIEW.

1                   CHAIRMAN KLEIN: I THINK WE STAND ADJOURNED.  
2                   EXCUSE ME. WE HAVE ONE MORE COMMENT.

3                   DR. PHAM: HI. I AM RANDALL PHAM. I'M HERE  
4                   OFFICIALLY REPRESENTING THE NETWORK OF ETHNIC PHYSICIAN  
5                   ORGANIZATION. UNOFFICIALLY I'M REPRESENTING THE CMA.  
6                   AND I HAVE TO COMMENT ALL OF YOU FOR COMING UP WITH  
7                   THIS IMPORTANT DOCUMENT. IT'S A GIANT STEP FOR  
8                   CALIFORNIA. AND I CAN CERTAINLY ASSURE YOU, WITH ALL  
9                   THE ABILITY I COULD BRING THIS DOCUMENT BACK TO THE CMA  
10                  AND GIVE IT AS MUCH SUPPORT THAT I CAN. THANK YOU.

11                  CHAIRMAN KLEIN: THANK YOU VERY MUCH.  
12                  DR. PHAM IS LIAISON FOR OUR BOARD WITH THE CALIFORNIA  
13                  MEDICAL ASSOCIATION. I'M REMINDED THAT HE'S BEEN WITH  
14                  US FOR QUITE A WHILE BECAUSE HE WAS WITH US AT OUR  
15                  FIRST DIVERSITY COUNCIL MEETING IN FRESNO. AND THANK  
16                  YOU VERY MUCH FOR TRAVELING THE STATE WITH US AND BEING  
17                  A GATEWAY OF INFORMATION BACK TO THE CALIFORNIA MEDICAL  
18                  ASSOCIATION, WHO HAS BEEN A STRONG ENDORSER AND  
19                  SUPPORTER FROM THE VERY BEGINNING. SO THANK YOU.

20                  ADDITIONAL COMMENTS? WE STAND ADJOURNED.  
21                  THANK YOU.

22                  (THE MEETING WAS THEN ADJOURNED.)

23  
24  
25

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

LUXE HOTEL  
11461 SUNSET BOULEVARD  
LOS ANGELES, CALIFORNIA  
ON  
OCTOBER 10, 2006

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152  
BARRISTER'S REPORTING SERVICE  
1072 S.E. BRISTOL STREET  
SUITE 100  
SANTA ANA HEIGHTS, CALIFORNIA  
(714) 444-4100