

BEFORE THE
SCIENTIFIC AND MEDICAL ACCOUNTABILITY STANDARDS
WORKING GROUP OF THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: CIRM
210 KING STREET
SAN FRANCISCO, CALIFORNIA

DATE: NOVEMBER 13, 2006
10 A.M.

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO.: 76804

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

I N D E X

ITEM	DESCRIPTION	PAGE NO.
CALL TO ORDER		3
ROLL CALL		20
STAFF REPORT ON STATUS OF CIRM-MES REGULATIONS AND FUTURE MEETINGS		20
REPORT FROM CIRM IP TASK FORCE		3
CONSIDERATION OF FINAL REGULATIONS GOVERNING THE USE OF HUMAN TISSUE IN CIRM-FUNDED RESEARCH		27
ADJOURNMENT		61

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MONDAY, NOVEMBER 13, 2006

10:04 A.M.

DR. LOMAX: THE UPDATE IS REALLY FOCUSED ON GETTING EVERYONE UP TO SPEED ON THE DETAILS OF THE REGULATIONS. WHAT I'D LIKE TO DO IS DEFER THAT UPDATE UNTIL AFTER ED'S HAD A CHANCE TO GIVE YOU AN UPDATE ON THE WORK OF THE IP TASK FORCE BECAUSE MY UPDATE WILL SORT OF DOVETAIL RIGHT INTO OUR DISCUSSION OF THE REGULATIONS.

AS YOU ALL MAY RECALL, ONE OF THE THINGS THAT WE'VE BEEN DOING THROUGHOUT THE COURSE OF THESE MEETINGS IS TO STAY ABREAST OF THE WORK OF THE INTELLECTUAL PROPERTY TASK FORCE. YOU REMEMBER WE HAD A FORMAL PRESENTATION EARLIER IN THE YEAR ABOUT THEIR POLICY FOR NON-PROFITS. THEY'VE NOW BEEN WORKING DILIGENTLY ON A POLICY FOR FOR-PROFIT ORGANIZATIONS. SO ONE OF THE THINGS WE WANT TO DO THIS MORNING IS TO HAVE YOU ALL BE UPDATED ON SOME OF THEIR MOST RECENT WORK.

THERE WAS A SET OF SLIDES I SENT AROUND EARLY THIS MORNING HOPEFULLY YOU ALL HAVE. WE'LL BE USING THOSE SLIDES AS REFERENCE FOR THE UPDATE TODAY. SO WITH THAT, I'LL TURN IT OVER TO ED.

DR. PENHOET: THANK YOU. GOOD MORNING. AS

1 YOU JUST HEARD, WE HAVE BEEN WORKING ON THE POLICY FOR
2 PROFIT-MAKING ORGANIZATIONS. AND IT'S A COMPANION
3 PIECE TO THE POLICY THAT WE'VE ALREADY DEVELOPED FOR
4 THE NON-PROFIT GRANTEES. THE STATUS OF THE NON-PROFIT
5 POLICY IS IT WAS SUBMITTED TO THE ICOC BOARD NOW ABOUT
6 SIX MONTHS AGO. WE'VE GONE THROUGH TWO ROUNDS OF THE
7 OAL PROCESS. WE HAVE ONE REMAINING ITEM THAT WE'RE
8 STILL WORKING ON, WHICH IS HOW TO ACTUALLY COME UP WITH
9 A WORKABLE SOLUTION TO THE PROBLEM OF MAKING SURE THAT
10 CALIFORNIANS DON'T PAY ANY MORE FOR THERAPIES THAT
11 RESULT FROM OUR WORK THAN THE LOWEST PRICE AVAILABLE
12 ELSEWHERE IN THE UNITED STATES.

13 IT'S A THORNY PROBLEM BECAUSE MANY OF THE
14 GOVERNMENT PURCHASERS HAVE WHAT ARE CALLED MOST FAVORED
15 NATION CLAUSES IN THEIR PURCHASING AGREEMENTS. IF YOU
16 DON'T DO THIS CAREFULLY, YOU RUN A RISK THAT YOU'LL
17 TRIP A DESTRUCTIVE SORT OF TRASHING OF PRICES IN THE
18 WORST CASE TO ZERO AS THEY TUMBLE DOWN THIS MOST
19 FAVORED NATION CLAUSE SYSTEM. SO WE'RE STILL WORKING.
20 SCOTT TOCHER AND A NUMBER OF OTHERS ARE STILL WORKING
21 TO FIND A FORMULA WHICH WILL GUARANTEE US LOWEST
22 AVAILABLE PRICE, BUT WON'T RUN AFOUL OF NUMEROUS
23 FEDERAL PROGRAMS AND OTHERS THAT ARE INVOLVED WITH
24 PURCHASING.

25 BUT WITH THAT ONE EXCEPTION, I THINK THE

1 FOR-PROFIT POLICY IS MOVING ALONG, AND IT SHOULD
2 BECOME -- WELL, WE'RE IN THE FINAL THROES OF THAT. THE
3 NOT-FOR-PROFIT POLICY IS NOW IN THE FINAL THROES OF THE
4 OAL PROCESS AND SHOULD BECOME STATE REGULATION VERY
5 SOON. SO THAT'S GONE. WHILE IT'S BEEN A LOT OF WORK,
6 AS YOU CAN IMAGINE, AS YOUR WORK HAS BEEN, BUT I THINK
7 WE'RE QUITE PLEASED THAT WE'RE ALMOST AT THE END OF
8 THAT SITUATION.

9 WE THEN TURNED OUR ATTENTION TO A POLICY TO
10 PUT IN PLACE WHEN WE MAKE GRANTS TO COMPANIES
11 BASICALLY. AND THAT'S OCCUPIED OUR TIME FOR THE LAST,
12 WELL, ALMOST A YEAR NOW. SO GEOFF DID SEND AROUND SOME
13 SLIDES. THERE ARE NOT MANY. SO IF YOU HAVE THEM AND
14 YOU CAN OPEN THOSE SLIDES, I'LL GO QUICKLY THROUGH THE
15 SLIDES.

16 THE FIRST ONE SIMPLY INDICATES WHAT WE HAVE
17 DONE. WE HAD SIX PUBLIC MEETINGS DEVOTED TO THE
18 SUBJECT. WE'VE HAD 18 DIFFERENT PRESENTATIONS. THE
19 SIX PUBLIC MEETINGS WERE OF OUR TASK FORCE, AND THE 18
20 PRESENTATIONS ARE PRESENTATIONS THAT WERE GIVEN BY
21 VARIOUS REPRESENTATIVES FROM INDUSTRY, FROM VARIOUS
22 DIFFERENT INTERESTED GROUPS, ETC.

23 MARY AND I, ESPECIALLY MARY, HAVE DONE A
24 SURVEY OF BEST PRACTICES OF ABOUT 20 ODD SOME FUNDING
25 AGENCIES WHICH ARE NOW FUNDING COMPANIES. THE

1 RELATIVELY NEW PHENOMENON ACTUALLY IN THE FOUNDATION
2 WORLD, HISTORICALLY MOST FUNDED ONLY UNIVERSITIES OR
3 OTHER NON-PROFITS, BUT IN RECENT YEARS, QUITE A FEW OF
4 THE DISEASE-ORIENTED FOUNDATIONS, THE JUVENILE DIABETES
5 RESEARCH FOUNDATION, THE CYSTIC FIBROSIS FOUNDATION,
6 THE WELLCOME TRUST IN THE UK, HAVE BECOME INVOLVED IN
7 ACTUALLY FUNDING COMPANIES.

8 AND SO WE HAD -- THERE IS SOME EXPERIENCE OUT
9 THERE ON THIS ISSUE, AND WE HAVE TALKED TO A NUMBER OF
10 THOSE. SO WE'VE CONDUCTED INTERVIEWS WITH THEM, WE'VE
11 READ THE LITERATURE, SO WE'VE DONE A FAIR AMOUNT OF
12 HOMEWORK.

13 THE NEXT SLIDE, THE FEATURES OF THE PROPOSED
14 POLICY ARE, FIRST OF ALL, THAT IT'S SIMILAR OVERALL TO
15 THE NON-PROFIT POLICY. WELL, I GUESS THE NEXT SLIDE
16 YOU HAVE IS A LITTLE CHART WHICH SHOWS ESSENTIALLY THE
17 TWO POLICIES LINED UP ONE NEXT TO THE OTHER. IT SAYS
18 FOR-PROFIT ON THE LEFT AND NON-PROFIT ON THE RIGHT, AS
19 YOU CAN SEE.

20 AND BASICALLY CIRM FUNDING FOR THE NON-PROFIT
21 SECTOR WILL GO PRIMARILY TO FUND BASIC SCIENCE, WHICH
22 WILL LEAD TO AN INVENTION, WHICH, WHEN LICENSED TO A
23 THIRD PARTY, WILL YIELD REVENUES TO THE NON-PROFIT
24 ORGANIZATION. AND WE HAVE AGREED AFTER LOTS OF BACK
25 AND FORTHS THAT 25 PERCENT OF WHATEVER THE NON-PROFIT

1 GRANTEE REVENUES ARE AFTER THE INVENTOR'S SHARE IS PAID
2 AND A \$500,000 THRESHOLD IS EXCEEDED WILL BE RETURNED
3 TO THE STATE. SO THAT'S THE REMUNERATION FROM LICENSED
4 TECHNOLOGY.

5 THERE ARE TWO OTHER COMPONENTS IN ADDITION TO
6 THAT THAT ARE NON-PROFIT GRANTEES WILL AGREE TO, FIRST
7 OF ALL, THAT ANY LICENSE THAT THEY GIVE TO CIRM-FUNDED
8 TECHNOLOGY WILL HAVE A PLAN FOR ACCESS, WHICH IS DUE
9 FROM THE EXCLUSIVE LICENSEE AT THE TIME OF
10 COMMERCIALIZATION TO MAKE SURE THAT THE PRODUCTS OF
11 THESE THINGS ARE AVAILABLE TO ESSENTIALLY UNINSURED AND
12 OTHER PEOPLE WHO CAN'T AFFORD THESE THINGS NOT COVERED
13 BY A GOVERNMENT PROGRAM.

14 SO THE PLAN FOR ACCESS, ORIGINALLY WE HAD
15 AND, MAYBE WHEN WE TALKED TO YOU THE FIRST TIME, WE HAD
16 PLANS FOR ACCESS DUE AT THE TIME OF LICENSE. THE
17 INDUSTRY PEOPLE ARGUED THAT IT'S VERY HARD TO HAVE A
18 PLAN -- WHEN THEY LICENSE TECHNOLOGY, IT'S USUALLY VERY
19 EARLY ON AND COMMERCIALIZATION IS LIKELY TO BE SOME
20 NUMBER OF YEARS DOWN THE ROAD, SO IT MADE MORE SENSE TO
21 MOVE THAT UP TO THE TIME OF COMMERCIALIZATION.

22 AND THEN THE SECOND THING --

23 DR. PETERS: SO MAY I ASK THEN, THE PLAN FOR
24 ACCESS REALLY SHOULD BE LATERAL TO THE PRODUCT
25 DEVELOPMENT BOX THERE OR --

1 DR. PENHOET: WELL, IN THIS CASE THE LICENSE
2 IS THE THIRD PARTY, NOT THE FOR-PROFIT COMPANY. SO IT
3 SHOULD BE IN ONE SENSE, BUT THESE ARE THIRD-PARTY
4 AGREEMENTS THAT WE'RE NOT GOING TO FUND DIRECTLY.

5 DR. PETERS: I SEE. OKAY.

6 DR. PENHOET: IF YOU ASSUME, FOR EXAMPLE,
7 SOMEBODY AT BERKELEY INVENTS SOMETHING IMPORTANT, THEY
8 LICENSE IT TO A PHARMACEUTICAL COMPANY, MERCK.
9 TYPICALLY THE PHARMACEUTICAL COMPANY DOES ALL THESE
10 THINGS AFTER THAT, AND WE MIGHT NOT BE INVOLVED IN
11 FUNDING. BUT THEY STILL HAVE TO AGREE. WHETHER OR NOT
12 WE FUND ANY MORE, THEY HAVE TO AGREE FOR THIS ACCESS
13 PLAN AND FOR THE DISCOUNTED PRICING.

14 MS. CHARO: TWO OTHER QUICK QUESTIONS SINCE
15 YOU SEEM TO BE WILLING TO CLARIFY FOR US. FIRST, WHEN
16 YOU SAY THE 25 PERCENT OF THE GRANTEE REVENUES, BLAH,
17 BLAH, BLAH ARE RETURNED TO THE STATE, IS THAT RETURNED
18 TO GENERAL REVENUE, OR IS IT DEDICATED TO PAYING OFF
19 THE BOND ISSUE?

20 AND SECOND, ALL OF THESE PROVISIONS SEEM TO
21 APPLY ONLY TO THOSE WITH EXCLUSIVE LICENSES. ARE THERE
22 ANY PROVISIONS THAT ARE BEING AIMED AT THOSE WHO GET
23 NONEXCLUSIVE LICENSES?

24 DR. PENHOET: WELL, WE HAVE IN OUR POLICY AN
25 ADMONITION THAT THEY SHOULD SEEK NONEXCLUSIVE LICENSING

1 WHENEVER POSSIBLE. AND THE VIEW IS THAT IF THERE ARE
2 NONEXCLUSIVE LICENSEES, THAT THE MARKETPLACE
3 ESSENTIALLY WILL SEE COMPETITION; AND, THEREFORE, THERE
4 WILL BE LESS CONCERN ABOUT PRICING. WE'RE MOST
5 CONCERNED WHEN THERE'S A MONOPOLY, THAT WE ACTUALLY
6 HAVE SOME TEETH IN A PRICING PROVISION. SO THE ACCESS
7 PLANS AND THE LOWER PRICES ARE FOR EXCLUSIVE LICENSEES,
8 ASSUMING THAT IN THE CASE OF NONEXCLUSIVE LICENSES THE
9 COMMERCIAL MARKETPLACE WILL SOMEHOW TAKE CARE OF THESE
10 ISSUES WHEN THEY'RE IN COMPETITION WITH EACH OTHER.

11 MS. CHARO: ON THE REVENUES, THE GENERAL
12 VERSUS BOND PAYMENT?

13 DR. PENHOET: AT THE MOMENT WE'VE BEEN
14 ADVISED THAT THE MONIES GO BACK TO THE GENERAL FUND.
15 THESE ARE GENERAL OBLIGATION BONDS, WHICH ALSO HAVE TO
16 BE REPAID FROM THE GENERAL FUND.

17 MS. CHARO: GOT IT.

18 DR. PENHOET: BOTH THE INPUT AND OUTPUT COME
19 FROM THE SAME PLACE.

20 MS. CHARO: THANK YOU FOR THE CLARIFICATION.

21 DR. PENHOET: YES. THERE IS A PROVISION IN
22 BAYH-DOLE WHICH SAYS THAT THE UNIVERSITY'S SHARE IS
23 SUPPOSED TO BE SPENT ON RESEARCH OR EDUCATION. AND WE
24 WANT TO BE IN COMPLIANCE WITH BAYH-DOLE, AS MANY PEOPLE
25 HAVE URGED US TO DO. AND SO WHAT GOES BACK TO THE

1 GENERAL FUND SHOULD BE EARMARKED FOR SCIENCE OR FOR
2 RESEARCH OR FOR EDUCATION. THAT'S EASY TO DO BECAUSE A
3 BIG FRACTION OF THE GENERAL FUND IS EDUCATION, BUT WE
4 DON'T WANT TO RUN AFOUL OF BAYH-DOLE OR THE FEDERAL
5 DEFINITION CONTAINED WITHIN BAYH-DOLE ABOUT WHAT MONEY
6 SHOULD BE SPENT FOR. UNIVERSITIES ARE NOT ALLOWED TO
7 SPEND THESE REVENUES, THEIR OWN SHARE, ON ANYTHING BUT
8 RESEARCH AND EDUCATION. CAN'T LOBBY OR OTHER BUSINESS.

9 IF YOU GO OVER TO THE FOR-PROFIT SIDE,
10 BASICALLY WE CAN FUND IN THE COMPANIES IN CALIFORNIA A
11 VARIETY OF DIFFERENT STEPS IN THE DEVELOPMENT OF A
12 PRODUCT. SO WE THINK THAT COMPANIES WILL APPLY FOR
13 GRANTS TO DO BASIC SCIENCE. IF THEY MAKE INVENTIONS,
14 AS INDICATED HERE, AND THEY LICENSE THEM TO THIRD
15 PARTIES, THEN BASICALLY EVERYTHING IS THE SAME AS ON
16 THE RIGHT-HAND SIDE OF THE SLIDE; THAT IS, THE LICENSES
17 WILL CONTAIN EXACTLY THE SAME TERMS AS THE LICENSES
18 FROM NON-PROFITS. SO THERE'S NO DIFFERENCE. THE ONLY
19 DIFFERENCE IS THE DIFFERENCE BETWEEN 17 PERCENT ON THE
20 RIGHT AND 25 PERCENT ON THE LEFT. THAT'S BECAUSE THE
21 25 PERCENT IS AFTER THE INVENTOR'S SHARE IS DEDUCTED,
22 AND INVENTOR'S SHARE IS GENERALLY ABOUT A THIRD OF THE
23 REVENUES.

24 SO IN THE CASE ON THE LEFT, INVENTORS INSIDE
25 COMPANIES DON'T GET PAID FOR THEIR INVENTIONS, BUT THEY

1 GET PAID BY THE COMPANY. SO TO MAKE THIS SYMMETRICAL,
2 WE'VE DEDUCTED A THIRD OF 25 OR 8 PERCENT FROM THE
3 REVENUES ON THE LEFT-HAND SIDE FROM THE COMPANIES
4 LICENSING REVENUES THAT WILL BE REPAYED TO THE STATE IN
5 ORDER TO ESSENTIALLY COMPENSATE THE COMPANY FOR THE
6 INVENTOR'S SHARE AS IT HAS DONE IN THE UNIVERSITIES.

7 DR. PETERS: WHY DID YOU FEEL IT WAS
8 NECESSARY TO MAKE THAT SYMMETRICAL?

9 DR. PENHOET: WELL, WE HAD LOTS OF DISCUSSION
10 FROM VARIOUS DIFFERENT PEOPLE. AND I THINK THERE WAS
11 THE THOUGHT THAT BASICALLY WE'VE EXPRESSED A VIEW, MANY
12 PEOPLE, THAT THE GRANTS FOR BASIC SCIENCE OUGHT TO BE
13 DONE WITHOUT BIAS ONE DIRECTION OR ANOTHER; THAT IF
14 PEOPLE ARE APPLYING FOR A BASIC SCIENCE GRANT, IT
15 SHOULD BE JUDGED SOLELY ON ITS MERITS, NOT ON WHETHER
16 ONE WOULD GET A GREATER RETURN THAN THE OTHER FOR THE
17 STATE, ETC. AND IN THIS CASE THE UNIVERSITIES ARE
18 PAYING THEIR INVENTORS. SO WE TRIED TO MAKE THEM
19 SYMMETRICAL AND CLASSICAL SIMPLY TO PUT EVERYBODY ON AN
20 EVEN PLAYING FIELD.

21 I THINK THE PHILOSOPHY BEHIND IT IS THAT THE
22 BEST SCIENCE SHOULD BE FUNDED WHETHER IT'S IN A COMPANY
23 OR IN A UNIVERSITY. THAT WAS THE THOUGHT.

24 NOW, THERE IS ANOTHER CASE, WHICH IS THE
25 MIDDLE COLUMN. IF THE COMPANY DECIDES NOT TO LICENSE

1 THE INVENTION, BUT, IN FACT, TO SO-CALLED FORWARD
2 INTEGRATE ITSELF, THAT IS, DO THE PRECLINICAL WORK, THE
3 PRODUCT DEVELOPMENT, AND MARKET THE PRODUCT, THEN THERE
4 IS A DIFFERENT SET OF RULES THAT COME INTO PLAY BECAUSE
5 THERE'S NO THIRD-PARTY LICENSEE. AND IT'S HERE WHERE
6 WE'VE COME UP WITH POLICIES WHICH ARE FUNDAMENTALLY
7 DIFFERENT THAN FOR THE NON-PROFIT.

8 NON-PROFITS DON'T DO ANY OF THIS WORK. THEY
9 DON'T DO PRECLINICAL DEVELOPMENT, PRODUCT DEVELOPMENT,
10 ETC. AND SO WE HAVE ANTICIPATED HERE THAT CIRM WOULD
11 FUND THESE THINGS. IN FACT, IN THE MEETINGS WE HAD
12 WITH COMPANY REPRESENTATIVES, THEY SAID THAT THE PLACE
13 WHERE THEY'RE LIKELY TO NEED THE GREATEST AMOUNT OF
14 HELP WAS IN THE PRECLINICAL DEVELOPMENT AND EARLY
15 PRODUCT DEVELOPMENT. IF THEY GET AS FAR AS STAGE III
16 CLINICAL TRIALS, THEY THOUGHT THEY CAN PROBABLY GET
17 THOSE FUNDS FROM PRIVATE SOURCES, BUT THEY'LL NEED
18 MONEY FOR THESE OTHER ACTIVITIES.

19 SECOND OF ALL, THERE'S A VIEW THAT WE SHOULD
20 TRY TO ENCOURAGE COMPANIES TO ACTUALLY FORWARD
21 INTEGRATE IN CALIFORNIA BECAUSE THERE'S A HIGH
22 PROBABILITY A LICENSE WILL BE TO A COMPANY WHICH IS
23 OUTSIDE CALIFORNIA. SO IF WE JUST LICENSE TECHNOLOGY
24 FOR MERCK, FOR EXAMPLE, WHICH IS IN NEW JERSEY, THEN
25 ALL THE DOWNSTREAM ACTIVITIES WOULD OCCUR IN NEW

1 JERSEY, NOT IN CALIFORNIA. AND WE ONE OF THE EXPLICIT
2 GOALS OF PROP 71 IS TO DEVELOP A ROBUST STEM CELL
3 INDUSTRY IN CALIFORNIA.

4 SO TAKING THAT IN MIND, WE OBVIOUSLY HAVE TO
5 HAVE A DIFFERENT SET OF CIRCUMSTANCES FOR THE COMPANIES
6 THAN WE HAVE FOR THE NON-PROFITS BECAUSE THEY ARE DOING
7 THIS DOWNSTREAM WORK AS INDICATED HERE.

8 AND IF YOU GO TO SLIDE 5, WE SEE THAT WHAT
9 HAPPENS IS, STARTING AT THE TOP, IT SAYS FOR-PROFIT
10 REVENUE SHARING. IT SAYS AT THE TOP IF
11 COMMERCIALIZATION OCCURS, ALL FOR-PROFIT GRANTEES WILL
12 RETURN THREE TIMES THE TOTAL GRANT AWARD AFTER REVENUES
13 EXCEED A \$500,000 THRESHOLD. THAT'S THE SAME AS WE
14 HAVE IN THE LICENSED POLICY. SO THIS IS -- THERE WAS A
15 LOT OF DISCUSSION, AS YOU CAN IMAGINE, AROUND THIS,
16 WHAT THE PROPER AMOUNT WOULD BE.

17 THE COMPANIES DON'T MIND PAYING THE MONEY
18 BACK, BUT THEY WANTED A CAP ON THE TOTAL AMOUNT OF
19 THEIR EXPOSURE SO THEY DIDN'T HAVE SOME UNKNOWN AMOUNT.
20 AFTER A LOT OF DISCUSSION, WE AGREED ON THIS 3 X NUMBER
21 AFTER THE REVENUES EXCEED \$500,000. HOWEVER, IF THESE
22 BECOME SIGNIFICANT PRODUCTS, THAT IS, THEY ACHIEVE
23 SO-CALLED BLOCKBUSTER STATUS -- WE DEFINED BLOCKBUSTER
24 STATUS AS SALES OF \$250 MILLION A YEAR OR MORE. IF YOU
25 GO TO THE LEFT, IF WE'VE INVESTED LESS THAN \$5 MILLION

1 IN THE PROJECT, WHEN IT REACHES \$250 MILLION IN ANY
2 SINGLE YEAR, THEY'LL PAY ANOTHER THREE TIMES THAT. SO
3 AT THAT POINT WE WOULD HAVE GOTTEN SIXFOLD RETURN ON
4 THE INVESTMENT THAT WE MADE IN THE PROJECT. AND IF
5 THEY REACH \$500 MILLION A YEAR, THEY WOULD PAY ANOTHER
6 3 X, OR WE WOULD GET NINEFOLD RETURN ON THE INVESTMENT
7 THAT WE MADE IN THAT PROJECT.

8 DR. PETERS: IS THAT A ONE-TIME ONLY, OR
9 WOULD THAT BE FOR EACH YEAR IN WHICH THOSE --

10 DR. PENHOET: THAT'S A ONE-TIME ONLY PAYMENT.
11 IF YOU GO TO THE RIGHT, AND WE'VE INVESTED MORE THAN \$5
12 MILLION IN A PROJECT, AND THERE ARE NO PATENTS
13 INVOLVED, WE DIDN'T FUND ANY PATENTED WORK THAT ENDS UP
14 IN A PATENT, GOES OVER TO THE SAME BOX ON THE LEFT.
15 HOWEVER, IF THERE ARE CIRM-FUNDED PATENTS INVOLVED AND
16 THE BLOCKBUSTER REACHES SALES OF MORE THAN \$500 MILLION
17 A YEAR, THEN 3 X AT 250, ANOTHER 3 X AT 500, AND THEN
18 FOR THE LIFETIME OF THE PATENT, THEY WILL PAY A
19 1-PERCENT ROYALTY ON ALL THE SALES OVER \$500 MILLION.
20 SO THAT ADDRESSES YOUR QUESTION, TED. IF IT BECOMES A
21 BIG PRODUCT, THEN THE STATE WILL GET A 1-PERCENT
22 ROYALTY.

23 SO BASICALLY WITH RESPECT TO ALMOST
24 EVERYTHING ELSE IN THE NON-PROFIT POLICY, WE HAVE GOOD
25 SYMMETRY. WE STILL HAVE -- BY THE WAY, THESE

1 REQUIREMENTS OF THE RETURN NOW ARE BASED ON \$1 IN. ANY
2 INVESTMENT AT ALL IN ONE OF THESE COMPANIES, THEN THEY
3 AGREE TO THIS PAYBACK PROVISION, BUT THEY ALSO AGREE TO
4 THE ACCESS PROVISION AND THE PRICING PROVISION THAT WE
5 WILL HAVE. SO IF THEY TAKE ANY MONEY FROM US
6 WHATSOEVER, THEY'RE OBLIGATED TO BOTH ACCESS AND FOR
7 DISCOUNTED PRICING.

8 IN ADDITION TO THAT, WE DID DECIDE AND WE
9 STILL HAVEN'T FIGURED OUT EXACTLY HOW WE'RE GOING TO DO
10 THIS, BUT I THINK IT'S AN IMPORTANT CONCEPT. IF YOU
11 LOOK THROUGH EVERYTHING I'VE JUST TOLD YOU, CALIFORNIA
12 CITIZENS ARE MODESTLY ADVANTAGED RELATIVE TO PEOPLE WHO
13 LIVE IN IOWA OR SOME OTHER PLACE RELATIVE TO THIS, BUT
14 DON'T HAVE A HUGE ADVANTAGE. SO WHEN WE TALKED ABOUT,
15 WELL, WHAT MIGHT BE ANOTHER ADVANTAGE THAT CALIFORNIA
16 CITIZENS COULD GET OUT OF THIS, WE CAME UP WITH THAT IF
17 THERE WAS LIMITED THERAPEUTIC AVAILABILITY FOR ONE
18 REASON OR ANOTHER, AN ORGANIZATION LACKS -- I WAS CEO
19 OF CHIRON --

20 MS. CHARO: MAY I INTERRUPT FOR A MOMENT?
21 THERE'S SOMEBODY WHOSE PHONE IS BRINGING IN AN AWFUL
22 LOT OF BACKGROUND NOISE. IS THERE ANYBODY ON LINE
23 WHO'S IN A NOISY ENVIRONMENT?

24 (INTERRUPTION IN PROCEEDINGS.)

25 DR. PENHOET: SO IN MY OWN EXPERIENCE, WE HAD

1 A DRUG APPROVED FOR TREATING MULTIPLE SCLEROSIS. WE
2 DIDN'T HAVE ENOUGH CAPACITY TO MANUFACTURE ENOUGH FOR
3 THE ENTIRE MARKET, SO WE HAD TO CONDUCT A LOTTERY
4 BASICALLY. IT WAS A NIGHTMARE, TO BE HONEST WITH YOU,
5 BUT IT WAS THE ONLY FAIR WAY TO DO IT. AND EVERYBODY
6 WITH INFLUENCE THOUGHT THEY COULD CALL US AND SOMEHOW
7 JUMP THE LINE IN THE LOTTERY. AND WE DIDN'T DO ANY OF
8 THAT, AS YOU CAN IMAGINE. SO IT'S NOT THE ONLY TIME
9 IT'S HAPPENED. AND COMPANIES GENERALLY DEAL WITH IT IN
10 SOME WAY, SHAPE, OR FORM LIKE THAT.

11 BUT IN OUR MEETING LAST FRIDAY, DUANE ROTH
12 BROUGHT UP THE POINT THAT IF THERE WAS A LIMITED
13 THERAPEUTIC AVAILABILITY, AT LEAST CALIFORNIANS OUGHT
14 TO GET SOME KIND OF PREFERENCE. COMPANY
15 REPRESENTATIVES SAID IT WOULD BE VIRTUALLY IMPOSSIBLE
16 FOR THEM TO GIVE ALL THE SUPPLY TO CALIFORNIANS, BUT
17 THAT THEY CAN LIST CRITERIA, SEVERITY OF THE DISEASE,
18 ETC., AND THAT THEY CAN PUT IN THE CRITERIA, THEY
19 THOUGHT, SOME WEIGHTING FOR CALIFORNIA RESIDENTS, SO
20 CALIFORNIA RESIDENTS WOULD HAVE SOME PREFERENTIAL
21 ACCESS. WE HAVE YET TO COME UP WITH EXACTLY HOW THIS
22 IS GOING TO WORK, SO I'M JUST BRINGING IT TO YOU TODAY
23 AS A CONCEPT, BUT THAT WAS PART OF THE RECOMMENDATION
24 OF OUR GROUP LAST WEEK.

25 AS I SAID BEFORE, IT'S A FIRST-DOLLAR

1 REQUIREMENT. IF COMPANIES TAKE ANY MONEY FROM CIRM,
2 THEY HAVE TO AGREE TO ACCESS, THEY HAVE TO AGREE TO
3 PRICING, AND THEY HAVE TO AGREE TO DO THEIR BEST TO
4 GIVE PREFERENTIAL ACCESS TO CALIFORNIANS IN THE CASE OF
5 LIMITED AVAILABILITY.

6 I MIGHT ADD ONE OF THE REASONS WE'RE HAVING
7 TROUBLE ON THE PRICING FRONT IS ALL THE PRICING THINGS
8 IN THE FEDERAL GOVERNMENT DEDICATE PRICES, THAT WE HAD
9 EARLIER, ARE ALL ONLY FOR DRUGS. AND STEM CELL
10 THERAPIES -- SOME DRUGS MAY EMERGE FROM OUR PROGRAMS,
11 BUT STEM CELL THERAPIES ARE GOING TO BE MORE AKIN TO
12 TRANSPLANTS PROBABLY. THEY ARE TRANSPLANTS. AND THERE
13 IS A COMPLETELY DIFFERENT SET OF RULES FOR HOW
14 TRANSPLANTS GET PAID FOR, MORE HETEROGENEOUS. SO
15 THAT'S PART OF THE REASON THAT WE'RE STUCK ON PRICING
16 IS TRYING TO FIND A WORKABLE SYSTEM THERE.

17 SO I THINK THAT REALLY IS THE BULK OF WHAT WE
18 HAVE DECIDED. WE'RE GOING TO BRING THIS -- WE'D LOVE
19 SOME COMMENTS FROM YOU NOW; BUT BARRING ANY FURTHER
20 COMPLICATIONS, WE WILL REFINE THESE CONCEPTS AND BRING
21 THEM TO THE ICOC BOARD AT ITS DECEMBER BOARD MEETING.

22 (INTERRUPTION IN PROCEEDINGS.)

23 DR. PENHOET: TED'S ASKED A COUPLE OF
24 QUESTIONS.

25 CHAIRMAN LO: QUESTIONS FROM THE PHONE

1 PEOPLE?

2 DR. TAYLOR: I'VE GOT A QUESTION IF I COULD
3 GET IT IN BEFORE THE NEXT INTERRUPTION. THIS IS KIND
4 OF IN THE SPIRIT OF SORT OF SYMMETRY AND FAIRNESS. I
5 MISSED THE LAST PART OF YOUR CONVERSATION, BUT I'M
6 WONDERING A LITTLE BIT WHAT MIGHT HAVE HAPPENED TO KIND
7 OF MIDDLE-CLASS CALIFORNIANS BECAUSE IT LOOKS TO ME
8 LIKE THE DISCOUNTS ARE ONLY REALLY GOING TO HAPPEN FOR
9 PATIENTS WHOSE THERAPIES ARE PURCHASED WITH PUBLIC
10 FUNDS, ACCORDING TO WHAT'S WRITTEN HERE. AND IF THESE
11 THINGS REALLY BECOME THERAPIES, THEY'RE GOING TO BE
12 EXPENSIVE AS HELL. EVERYBODY IS GOING TO NEED A LOT OF
13 ASSISTANCE TO GET ACCESS TO THESE.

14 MY QUESTION IS KIND OF WHAT HAPPENS TO THE
15 PEOPLE WHO SORT OF SUPPORTED THE BOND ISSUE?

16 DR. PENHOET: WELL, IF THEY'RE UNINSURED,
17 THEY FALL UNDER THE ACCESS PROGRAM.

18 DR. TAYLOR: THAT'S EASY.

19 DR. PENHOET: IF THEY'RE INSURED, THEN THE
20 ONUS IS REALLY ON THE INSURERS IN THIS CASE, NOT ON THE
21 COMPANIES. SO WE HAVEN'T REALLY IN -- AND NONE OF
22 THESE HAVE WE REALLY DISCUSSED PREFERENTIAL PRICING
23 ACROSS THE BOARD FOR CALIFORNIANS. THE PRIMARY
24 NEGOTIATORS NOW ON PRICE ARE THE INSURERS AND THE
25 STATE. SO WE TRIED TO ADDRESS THE UNINSURED, BUT WE

1 HAVEN'T REALLY THOUGHT ABOUT HAVING A PROGRAM FOR
2 DISCOUNT PRICING ACROSS THE BOARD IN CALIFORNIA.

3 DR. LOMAX: ANY OTHER QUESTIONS?

4 DR. PENHOET: IF YOU GUYS HAVE ANY FURTHER
5 THOUGHTS, AND YOU CAN GET THEM TO US BEFORE THE
6 DECEMBER 8TH ICOC MEETING, IT WOULD BE VERY MUCH
7 APPRECIATED.

8 DR. PETERS: THANKS FOR THIS REPORT. TOUGH
9 STUFF THAT YOU HAVE TO DEAL WITH.

10 DR. PENHOET: MARY HAS POINTED OUT TO ME SHE
11 SURVIVED IT. WE'VE PROBABLY BEEN IN MORE CROSSFIRE
12 THAN ANY OTHER GROUP. THE POLAR EXTREMES OF WHAT WE
13 FACED ARE VERY WIDE. I THINK MARY HAS DONE A WONDERFUL
14 JOB OF STEERING US.

15 CHAIRMAN LO: I THINK WE'VE TRIED TO TAKE
16 INTO ACCOUNT BOTH THE EQUITY ISSUES AND FEASIBILITY
17 ISSUES FOR FOR-PROFIT COMPANIES.

18 DR. PENHOET: THANK YOU VERY MUCH.

19 CHAIRMAN LO: I'M GOING TO TURN THIS OVER TO
20 GEOFF FOR AN UPDATE, A STAFF REPORT UPDATE.

21 DR. LOMAX: TO BRING FOLKS WHO MADE HAVE
22 ENTERED THE CALL A LITTLE BIT LATE, WE'VE HEARD FROM
23 THE IP TASK FORCE. WE HAD AN UPDATE ON THEIR WORK
24 BECAUSE THAT'S SOMETHING THAT, AS I WILL GET TO IN THE
25 UPDATE, DID AT ONE POINT RELATE OR STILL RELATES TO

1 SORT OF THE BROADER STANDARDS FOR CIRM.
2 I THINK AT THIS POINT WHAT I'LL DO IS A ROLL
3 CALL BECAUSE WHOEVER IS GOING TO BE ON THE LINE AT THIS
4 POINT SHOULD BE THERE. SO I'LL COMMENCE WITH THE ROLL
5 CALL.
6 MARCY FEIT.
7 MS. FEIT: HERE.
8 DR. LOMAX: ROBERT KLEIN. SHERRY LANSING.
9 FRANCISCO. JEFF SHEEHY. JONATHAN SHESTACK. ALTA
10 CHARO.
11 MS. CHARO: HERE.
12 DR. LOMAX: BERNARD LO.
13 CHAIRMAN LO: HERE.
14 DR. LOMAX: PATRICIA KING. TED PETERS.
15 DR. PETERS: HERE.
16 DR. LOMAX: KEVIN EGGAN. ANN KIESSLING.
17 JEFFREY KORDOWER. KENNETH OLDEN. JANET ROWLEY. ROD
18 TAYLOR.
19 DR. TAYLOR: HERE.
20 DR. LOMAX: JOHN WAGNER.
21 DR. WAGNER: HERE.
22 DR. LOMAX: JAMES WILLERSON. OKAY.
23 IS EVERYTHING COMING ACROSS OKAY NOW, BETH?
24 THE REPORTER: PRETTY GOOD. THANKS.
25 DR. LOMAX: IF YOU RECALL, OUR LAST MEETING

1 WAS ON JULY 17TH. WE HELD A TELECONFERENCE TO DECIDE
2 REGULATORY LANGUAGE FOR SECTION 100095 WHICH DEALT WITH
3 THE ISSUE OF DONATION OF EGGS. IN THAT MEETING WE
4 APPROVED LANGUAGE WHICH PROHIBITED EGG DONORS', PAID
5 EGG DONORS' EGGS FROM BEING USED IN CIRM-FUNDED
6 RESEARCH.

7 ON AUGUST 20TH BERNIE PRESENTED THE ENTIRE
8 PACKAGE TO THE ICOC. THE PACKAGE INCLUDED THE
9 REGULATION AND A SUMMARY OF OUR WORK. THAT PACKAGE WAS
10 APPROVED BY THE ICOC. THE PACKAGE WAS THEN SUBMITTED
11 TO THE OFFICE OF ADMINISTRATIVE LAW IN LATE AUGUST.

12 JUST SO YOU KNOW, THE OFFICE OF
13 ADMINISTRATIVE LAW HAD AN ADDITIONAL 60 POINTS,
14 QUESTIONS, CLARIFICATIONS FOR US, SO WITH HEROIC EFFORT
15 BY SCOTT TOCHER, WE WERE ABLE TO MAKE SURE THAT ALL
16 THOSE RESPONSES WERE ADEQUATE. AND WE GOT THEM TO
17 OFFICE OF ADMINISTRATIVE LAW IN A TIMELY MANNER, AND
18 THEY APPROVED THE REGULATIONS IN LATE OR THE MIDDLE OF
19 OCTOBER. AND THEY WILL ACTUALLY TAKE EFFECT OFFICIALLY
20 ON THE 22D OF NOVEMBER.

21 NOW, THERE WAS ONE ISSUE I WANT TO DRAW TO
22 EVERYONE'S ATTENTION WITH REGARD TO TWO SECTIONS.
23 THERE WERE TWO FINAL SECTIONS IN THE REGULATIONS WHICH
24 ONE WAS SECTION 100120 WHICH DEALT WITH REPORTING, AND
25 SECTION 100130 WHICH DEALT WITH COMPLIANCE WITH THE

1 CIRM IP REGULATIONS.

2 NOW, I'LL START WITH THE 130 SECTION BECAUSE
3 THAT SECTION IS ESSENTIALLY REDUNDANT. IT SAYS THOU
4 SHALT COMPLY WITH CALIFORNIA STATE LAW. WE'RE SIMPLY
5 GOING TO DROP THAT SECTION. THERE'S NO REASON TO
6 REPEAT A REQUIREMENT THAT'S GOING TO BE IN LAW ANYWAY
7 UNDER THE INTELLECTUAL PROPERTY REQUIREMENTS. JUST TO
8 REMIND FOLKS, THE GENESIS OF THAT REGULATION WAS WE
9 ORIGINALLY WANTED LANGUAGE ABOUT SHARING INTELLECTUAL
10 PROPERTY AND SHARING MATERIALS. WE DID THAT AT PERHAPS
11 THE SECOND MEETING LAST YEAR. AND SUBSEQUENTLY THE IP
12 TASK FORCE SORT OF TOOK OVER WITH THAT ENTIRE BODY OF
13 WORK, WHICH, AS WE'VE HEARD AGAIN TODAY, IS NOW A VERY
14 EXTENSIVE CONVERSATION AND VERY DETAILED CONVERSATION.
15 WE APPRECIATE THE FACT THEY'VE TAKEN THAT UP. AGAIN,
16 THERE WILL BE REGULATIONS COMING OUT THAT ADDRESS FAR
17 MORE THAN WE EVER COULD HAVE IMAGINED WHEN WE FIRST
18 THOUGHT OF THAT SECTION. BUT FOR THE PURPOSES OF OUR
19 REGULATIONS, THAT LANGUAGE IS ENTIRELY REDUNDANT AND
20 UNNECESSARY.

21 THE SECTION 100120, WHICH DEALS WITH
22 REPORTING, WE ARE CURRENTLY DRAFTING SOME REVISED
23 LANGUAGE TO ADDRESS CONCERNS THAT THE OFFICE OF
24 ADMINISTRATIVE LAW RAISED. AND THE REASON WE WITHDREW
25 THE SECTION IS BECAUSE THE OFFICE OF ADMINISTRATIVE LAW

1 POINTED OUT THERE WAS SOME LANGUAGE IN THAT SECTION,
2 THAT THERE WAS NO WAY WE COULD SORT OF FIX IT WITHOUT
3 CHANGING THE LANGUAGE IN A MANNER WHICH WILL REQUIRE US
4 TO RE-POST IT, GET NEW PUBLIC COMMENT, AND GET ICOC
5 APPROVAL. SO WE'RE NOW IN THE PROCESS OF DOING THAT,
6 AND I WILL CIRCULATE THAT REVISED LANGUAGE TO THE
7 WORKING GROUP TODAY. WE ARE IN THE PROCESS OF
8 RE-POSTING IT. THAT WILL, AGAIN, GO UP FOR 15-DAY
9 COMMENT, AND WE'LL ALSO LOOK FORWARD TO THE ICOC
10 CONSIDERING THAT LANGUAGE AT THE DECEMBER 7TH MEETING.

11 SO WITH THAT SAID, MORE OR LESS THE ENTIRE
12 BODY OF REGULATION WAS APPROVED, AND WE'RE
13 EXTRAORDINARILY PLEASED WITH THAT WITH THE FEW
14 HOUSEKEEPING ITEMS I JUST MENTIONED.

15 ARE THERE ANY QUESTIONS OR THOUGHTS THERE?

16 CHAIRMAN LO: IF I COULD JUST INTERRUPT FOR A
17 MINUTE. FIRST, I WANT TO THANK SCOTT TOCHER AND GEOFF
18 LOMAX FOR SORT OF THEIR HEROIC EFFORTS OF GETTING THIS
19 THROUGH OAL. IT WAS A VERY DETAILED AND COMPLICATED
20 BACK AND FORTH, BACK AND FORTH. AND I THINK, AS GEOFF
21 SAID, THESE WILL NOW BE GOING INTO EFFECT.

22 AND BECAUSE WE WANTED TO HAVE THE BULK OF THE
23 REGULATIONS GO INTO EFFECT AS SOON AS POSSIBLE, WE
24 WANTED TO TAKE OUT THE 100200 SECTION AND DEAL WITH
25 THAT SEPARATELY SO AS NOT TO SLOW UP ALL THE OTHER

1 PROVISIONS.

2 DR. LOMAX: ANY OTHER QUESTIONS THERE? THEN
3 BEFORE WE BEGIN THE SUBSTANCE TODAY, THERE'S JUST ONE
4 OTHER ITEM I WANT TO BRING TO YOUR ATTENTION. WE ARE
5 TRYING TO SET A DATE IN APRIL. AND SO PLEASE PAY
6 ATTENTION TO E-MAIL. WE'RE GOING TO BE CIRCULATING OR
7 WE'VE BEEN CIRCULATING -- WE HAVEN'T CIRCULATED YET.
8 YOU WILL BE GETTING SOME REQUESTS TO CONSIDER DATES IN
9 APRIL OF NEXT YEAR. WHAT WE'D LIKE TO BE ABLE TO DO IS
10 SET UP A MEETING WHICH WE WOULD BILL AS OUR SORT OF
11 ANNUAL MEETING.

12 PROPOSITION 71 SPECIFIES THE WORKING GROUP
13 SHOULD HAVE AN ANNUAL MEETING WHERE WE SEEK TO GET
14 MAXIMUM ATTENDANCE. AND THE PLAN FOR THAT MEETING AT
15 THE MOMENT, THE TENTATIVE PLAN, IS WE WOULD LIKE TO DO
16 SOME EVALUATION AND SOME WORK WITH INSTITUTIONS WHO ARE
17 IMPLEMENTING OUR REGULATIONS. AND WHAT WE HOPE TO DO
18 IS IN ADVANCE OF THE MEETING HOLD SOME TYPE OF WORKSHOP
19 WHERE WE WILL GATHER FEEDBACK AND LEARN ABOUT THEIR
20 EXPERIENCE WITH THE REGULATIONS. AND THEN FOR THE
21 ANNUAL MEETING, BRING SORT OF THE LESSONS LEARNED FROM
22 THE FIRST PHASE OF IMPLEMENTATION BACK TO THE WORKING
23 GROUP.

24 SO I THINK IT WILL BE A NICE BREAK FOR THE
25 WORKING GROUP. RATHER THAN HAVING AN AGGRESSIVE AGENDA

1 OF MORE REGULATIONS TO THINK ABOUT, IT'S A CHANCE TO
2 STEP BACK AND THINK ABOUT HOW THINGS HAVE BEEN WORKING
3 OUT AND THINK ABOUT SETTING AN AGENDA FOR THE FUTURE
4 BASED ON THE LESSONS LEARNED FROM WHAT WE'VE ALREADY
5 PUT IN PLACE. SO, AGAIN, WE'LL BE GETTING BACK TO
6 FOLKS WITH DATES, BUT WE WILL STRONGLY ENCOURAGE YOUR
7 ATTENDANCE, AND I THINK IT WILL BE A VERY DIFFERENT
8 MEETING FROM WHAT WE'VE BEEN USED TO FOR THE LAST YEAR
9 AND A HALF WHERE OBVIOUSLY WE'VE BEEN PURSUING A VERY
10 AGGRESSIVE TIMELINE.

11 MS. CHARO: GEOFF, IF I MAY, THIS IS ALTA.
12 THERE MAY BE AN OPPORTUNITY FOR SOME COLLABORATIVE
13 LEVERAGING WITH THE NATIONAL ACADEMIES ON EXACTLY THIS
14 THING. THE NAS HAD A MEETING LAST WEEK -- IN FACT,
15 BERNIE ATTENDED -- AT WHICH PEOPLE WHO ARE TRYING TO
16 SET UP ESCRO'S WERE INVITED TO DO JUST THE KIND OF
17 THING, GIVE FEEDBACK ON WHAT'S BEEN WORKING AND WHAT
18 HASN'T.

19 ONE OF THE THINGS WE'RE LIKELY TO DO NEXT IS
20 TO EXPAND THAT EXERCISE INTO A COLLECTION OF REGIONAL
21 MEETINGS TO GET A BROADER SET OF RESPONSES FROM ESCRO
22 PEOPLE. AND IT MAY BE THAT IF WE CAN COORDINATE THE
23 NATIONAL ACADEMY AND THE CIRM INFORMATION SESSIONS,
24 WE'LL BE ABLE TO GET SOME VERY DETAILED WORK, NOT ONLY
25 ON THE REGS, BUT ON THE COMMITTEES AND HOW WELL THE

1 COMMITTEES ARE FUNCTIONING WITHIN THOSE REGS.

2 CHAIRMAN LO: I THINK THAT WOULD BE A GREAT
3 IDEA. AS YOU KNOW, THERE'S A LOT OF INTEREST IN THE
4 INSTITUTIONS FOR SORT OF FINDING OUT WHAT OTHER
5 INSTITUTIONS ARE DOING AND TRYING TO FIGURE OUT HOW TO
6 DO WHAT THEY'RE DOING BETTER IN TERMS OF OVERSIGHT. SO
7 THIS COULD BE A VERY PRODUCTIVE MEETING. WE'LL TRY AND
8 WORK ON THE SCHEDULE WITH YOU.

9 MS. CHARO: OKAY.

10 CHAIRMAN LO: MY UNDERSTANDING IS THIS IS
11 GOING TO BE IN LOS ANGELES, THIS MEETING, OR IS THAT
12 NOT CLEAR AT THIS POINT?

13 MS. CHARO: WE WERE THINKING -- THE CIRM
14 MEETING?

15 CHAIRMAN LO: THE CIRM MEETING, I MISSPOKE,
16 WILL BE IN SAN FRANCISCO. SO I DON'T KNOW IF, ALTA,
17 THAT FEEDS IN WITH YOUR PLANS.

18 MS. CHARO: WE HAVEN'T SETTLED ON A PLACE.
19 WE HAD INITIALLY BEEN THINKING SOUTHERN CALIFORNIA.
20 BUT, ANYWAY, WE CAN FOLLOW THIS UP LATER OFFLINE WITH
21 MORE DETAIL.

22 DR. LOMAX: WE'RE FLEXIBLE IN THAT REGARD.
23 CERTAINLY SAN FRANCISCO HAS ADVANTAGES, BUT WE'RE
24 FLEXIBLE.

25 MS. CHARO: OKAY.

1 DR. LOMAX: FINALLY, A REMINDER. ON APRIL
2 4TH THIS YEAR YOU ALL APPROVED LANGUAGE THAT WAS
3 CONTAINED IN ATTACHMENT 1. AND FOR MEMBERS OF THE
4 PUBLIC, THAT'S LANGUAGE -- LET ME JUST GET THE TITLE OF
5 THE DOCUMENT. IT'S "CONSENSUS RECOMMENDATIONS FOR CIRM
6 MES REGULATIONS," AND IT'S THE NEW SECTION 100085, USE
7 OF FETAL TISSUE. YOU RECOMMENDED THIS LANGUAGE; THE
8 ICOC APPROVED IT AS INTERIM REGULATION. AND BECAUSE IT
9 EXISTS AS INTERIM REGULATION, WE SORT OF PROCEDURALLY
10 NEED TO COME BACK TO THIS LANGUAGE AND DECIDE WHAT WE
11 WANT TO HAVE IN PLACE FOR FINAL REGULATION. AN INTERIM
12 REGULATION UNDER PROPOSITION 71 IS IN PLACE FOR 270
13 DAYS. THE CLOCK IS WINDING DOWN ON THAT 270 DAYS. SO
14 WE NEED TO MAKE A RECOMMENDATION FOR THE ICOC FOR A
15 FINAL RECOMMENDATION WITH REGARD TO LANGUAGE ON FETAL
16 TISSUE.

17 I THINK AT THIS POINT I CAN TURN IT OVER TO
18 YOU, BERNIE, AND WE'LL GO FROM THERE. I'LL HAVE BERNIE
19 SORT OF LEAD THE POLICY DISCUSSION AT THIS POINT.

20 CHAIRMAN LO: THANKS, GEOFF. JUST TO SORT OF
21 PUT US IN CONTEXT, WHAT WE'VE WANTED TO DO WITH THE
22 FETAL TISSUE REGULATIONS, AND THERE IS RESEARCH BEING
23 DONE WITH FETALLY DERIVED STEM CELLS, AND WE CAN
24 ANTICIPATE THAT THERE WOULD BE A HIGH LIKELIHOOD OF
25 THERE BEING SOME APPLICATIONS TO CIRM FOR FUNDING FOR

1 WORK IN SUCH LINES. AND THIS SECTION, WHEN WE WROTE
2 IT, WHAT WE REALLY WANTED TO DO WAS MAKE SURE THAT WE
3 WERE CONSISTENT WITH EXISTING FEDERAL REGULATION IN
4 45 CFR 46 AND ALSO FEDERAL LAW REGARDING
5 TRANSPLANTATION.

6 WHAT WE DID NOT WANT TO DO WAS TO SORT OF
7 OPEN THE CONTENTIOUS ISSUE OF CONSENT FROM PEOPLE OTHER
8 THAN THE BIRTH MOTHER. AS YOU RECALL, WE HAD AN
9 EXTENSIVE DISCUSSION OF THIS IS SUCH A COMPLICATED
10 ISSUE, THAT UNLESS THERE WAS REALLY GOOD REASON TO DO
11 SO, WE THOUGHT THAT WE SHOULD NOT TRY AND ADDRESS THAT
12 WITH THESE IN THIS CONTEXT.

13 SO WHAT WE PROPOSED IN SECTIONS A, B, AND C
14 ARE REALLY JUST A RESTATEMENT OF WHAT IS EXISTING
15 FEDERAL REGULATION AND LAW. ALTHOUGH IT'S REDUNDANT, I
16 THINK THE IDEA WAS TO BRING IT TOGETHER IN ONE PLACE SO
17 THAT CIRM APPLICANTS AND CIRM GRANTEEES WOULD REALLY
18 KNOW WHAT THERE IS. AS YOU KNOW, ALTA AND STAFF DID A
19 LOT OF DIGGING AROUND TO SORT OF FIND ALL APPLICABLE
20 FEDERAL REGULATION AND LAW. WE THOUGHT IT WOULD BE
21 USEFUL TO PUT IT IN ONE PLACE. WE ALSO REFERRED TO
22 CALIFORNIA LAW JUST TO REMIND PEOPLE THEY NEED TO
23 COMPLY WITH THAT.

24 I THINK THOSE FIRST THREE SECTIONS ARE JUST
25 SORT OF RESTATING CURRENT FEDERAL POLICY, AND THEY HAVE

1 TO DO WITH NOT HAVING THE PROSPECT OF DONATION ALTER
2 THE TIMING OR THE POSITION FOR ABORTION, THAT THERE BE
3 NO RESTRICTIONS ON WHO MAY RECEIVE THE DONATED TISSUE.
4 AND THE ATTENDING PHYSICIAN FOR THE WOMAN TERMINATING
5 PREGNANCY SHOULD DISCLOSE ANY INTEREST IN RESEARCH. SO
6 THESE ARE, AGAIN, HOPEFULLY STANDARD BUT IMPORTANT
7 SAFEGUARDS.

8 I WANT TO SEPARATE OUT FOR DISCUSSION THE
9 LAST SECTION D, WHICH REALLY HAS TO DO WITH GOOD TISSUE
10 PRACTICE REQUIREMENT. AND THERE ARE TWO ISSUES I THINK
11 WE NEED TO TAKE INTO ACCOUNT. FIRST, THE COMPLIANCE
12 WITH GOOD TISSUE REQUIREMENTS REALLY EXTENDS BEYOND
13 FETAL TISSUE TO ANY TISSUE THAT MIGHT BE USED FOR
14 TRANSPLANTATION. SO ONE QUESTION WHICH WAS BROUGHT UP
15 BY OUR LEGAL CONSULTANTS IS WHETHER THIS IS THE RIGHT
16 PLACE -- THIS SECTION IS THE RIGHT PLACE AND WHETHER WE
17 SHOULD TAKE THAT OUT AND THINK OF WHERE WE MIGHT WANT
18 TO INTEGRATE IT AS WE DO MORE GENERALLY WITH ALL KINDS
19 OF TRANSPLANTED TISSUE.

20 THE OTHER ISSUE IS, AGAIN, ALL WE'RE
21 BASICALLY SAYING IS GOOD CURRENT TISSUE REQUIREMENTS AS
22 PUBLISHED BY FDA AND THE FEDERAL REGISTER. AGAIN, WE
23 SORT OF WALKED THE LINE BETWEEN REDUNDANCY AND
24 DUPLICATION VERSUS SORT OF JUST BRINGING TO PEOPLE'S
25 ATTENTION REGULATORY REQUIREMENTS THEY MAY NOT BE AWARE

1 OF. I THINK THE REASON FOR BRINGING THIS TO PEOPLE'S
2 ATTENTION IS THERE MAY BE IMPLICATIONS FOR AT LEAST
3 ESTABLISHING CONTACT WITH WHAT, I GUESS WE'D CALLED
4 ORIGINALLY, THE MALE GENETIC PROGENITOR OR THE FEMALE
5 GENETIC PROGENITOR, WHO MAY, OF COURSE, BE DIFFERENT
6 THAN THE BIRTH MOTHER. THAT IF THERE IS AN FDA
7 REQUIREMENT FOR SOME SORT OF SCREENING OF THE GENETIC
8 DONORS, THEN AT THE TIME YOU'RE CONTEMPLATING DERIVING
9 A FETAL TISSUE, YOU WOULD WANT TO PRESUMABLY THINK
10 ABOUT WHETHER YOU'RE GOING TO BE ABLE TO COMPLY WITH
11 THOSE REGULATIONS.

12 SO IT'S A MATTER OF RAISING THE ISSUE SO
13 THAT, AT THE TIME OF DERIVATION, THE STEM CELL
14 SCIENTIST KNOWS OF THE POSSIBLE NEED FOR SCREENING THE
15 GENETIC PROGENITORS. LET ME STOP THERE AND SEE IF
16 THERE'S COMMENT FROM THOSE ON THE CALL OR TED HERE IN
17 THE OFFICE.

18 DR. PETERS: BERNIE, SO IT'S NOT REALLY A
19 QUESTION AS TO WHETHER WE WANT D. IT'S A QUESTION OF
20 WHERE IT OUGHT TO BE, WHETHER IT SHOULD BE ASSOCIATED
21 WITH FETAL TISSUE, EVEN THOUGH IT DEALS WITH NONFETAL
22 TISSUE. IS THAT THE QUESTION?

23 CHAIRMAN LO: RIGHT. THERE ARE TWO ISSUES.
24 ONE IS THERE IS A POINT OF VIEW THAT SAYS, WELL, ALL
25 WE'RE DOING IS SAYING DON'T FORGET TO COMPLY WITH THESE

1 FEDERAL REGULATIONS. SO THERE IS A POINT OF VIEW
2 SAYING WHY BUILD IN TOO MUCH REDUNDANCY AND
3 DUPLICATION? SO I THINK IT IS A SHOULD WE AT ALL. AND
4 THEN YOU'RE RIGHT. THE NEXT QUESTION, ASSUMING WE DO
5 WANT TO PUT THAT IN, I THINK IT'S MORE AS A KIND OF
6 REMINDER TO JOG THE ATTENTION OF THE STEM CELL
7 RESEARCHER, IS THIS IS THE RIGHT SECTION SINCE IT
8 APPLIES MORE BROADLY?

9 ALTA, DO YOU HAVE THOUGHTS ON THIS? I KNOW
10 YOU'VE THOUGHT A LOT ABOUT REGULATIONS AND THIS
11 PARTICULAR SECTION AS WELL.

12 MS. CHARO: YEAH. I MUST CONFESS MY FIRST
13 INSTINCT ALWAYS IS TO NOT RECITE THE LAW THAT HAS TO
14 ALREADY BE FOLLOWED IF ONLY BECAUSE THERE'S ALWAYS THE
15 RISK THAT ONE HAS UNINTENTIONALLY DONE SOMETHING IN THE
16 DRAFTING THAT CREATES SOME KIND OF INCONSISTENCY.

17 PUTTING ASIDE THAT JUST INSTINCT, GOING TO
18 THE QUESTION OF THE EDUCATION, I GUESS I'D ASK US TO
19 THINK FOR A SECOND ABOUT WHO THE REAL AUDIENCE IS OF
20 THE REGULATIONS AS OPPOSED TO ACCOMPANYING EDUCATIONAL
21 MATERIAL THAT MAY IN THE FUTURE BE DEVELOPED FOR THE
22 STEM CELL COMMUNITY MORE BROADLY OR FOR THE PUBLIC TO
23 EXPLAIN THE BASIC THRUST OF THE REGS AND THINGS TO KEEP
24 IN MIND.

25 I AGREE WITH YOU THAT UNIVERSITIES AND

1 COMPANIES THAT ARE TAKING CIRM FUNDS DO NEED TO BE
2 AWARE AT THE OUTSET OF STEPS THEY NEED TO TAKE EARLY IN
3 ORDER TO MAKE SURE THAT DOWNSTREAM THEY'RE NOT
4 PRECLUDED FROM TAKING FURTHER THERAPEUTIC ACTIONS. I'M
5 JUST NOT YET COMPLETELY SURE THAT THE REGULATIONS ARE
6 THE BEST VEHICLE FOR ACHIEVING THAT EDUCATIONAL GOAL.

7 CHAIRMAN LO: OTHER THOUGHTS ON THAT?

8 DR. WAGNER: I AGREE WITH ALTA THAT I'M NOT
9 SURE THAT THIS IS ACTUALLY GOING TO BE ACHIEVING THE
10 GOAL THAT YOU'RE HOPING TO ACHIEVE. I UNDERSTAND THAT
11 YOU WANT PEOPLE TO BE AWARE OF WHAT THE REGULATIONS
12 ARE, BUT MANY OF THE PEOPLE WHO WILL BE USING SUCH
13 TISSUE, IF IT'S NOT FOR SPECIFICALLY RESEARCH THAT
14 MIGHT HAVE SOME THERAPEUTIC INTENT, WILL ALREADY KNOW
15 THOSE REGULATIONS MOST LIKELY. ON THE OTHER HAND, YOU
16 KNOW, IF THEY DON'T KNOW THEM, I'M NOT SURE THAT THIS
17 IS GOING TO PROVIDE THEM WITH ADDITIONAL INFORMATION
18 THAT THEY WOULD HAVE NOT BEEN LOOKING FOR TO BEGIN
19 WITH.

20 I THINK THAT, IN PART, THE OTHER THING WE
21 HAVE TO DO IS WE HAVE TO STEP BACK AND FIND OUT WHAT IS
22 IT THAT THE FDA WILL REQUIRE. THESE REGULATIONS AREN'T
23 THAT SPECIFIC IN CASES LIKE THIS. SO, FOR EXAMPLE, THE
24 ONE COMMENT THAT YOU MADE, WHICH WAS RELATED TO THE
25 GENETICS OF THE DONORS, FOR EXAMPLE, EVEN WITH CORD

1 BLOOD TODAY, WE DON'T HAVE ANY ACCESS NECESSARILY TO
2 THE FATHER'S GENETIC HISTORY. SO THE FDA IS MONITORING
3 IT, BUT DOESN'T REALLY KNOW HOW TO ENFORCE IT OR
4 REGULATE IT AT THIS POINT IN TIME. SO THERE'S A LOT OF
5 UNKNOWNNS. I'M NOT SURE THAT STATING THIS OR PROVIDING
6 THE DOCUMENTS HERE IS REALLY GOING TO BE TOO HELPFUL
7 SINCE IT'S NOT CLEAR ANYWAY.

8 CHAIRMAN LO: HOW DO YOU FEEL ABOUT ALTA'S
9 SUGGESTION THAT IT'S REALLY MORE OF AN EDUCATIONAL
10 ISSUE THAN A REGULATORY ISSUE?

11 DR. WAGNER: I THINK IT IS AN EDUCATIONAL
12 ISSUE IN GENERAL. I THINK THAT, IN PART, ALTHOUGH NOT
13 RELATED SPECIFICALLY TO THE FETAL TISSUE, THIS IS ALSO
14 ONE OF THE NEXT ITEMS THAT WE HOPE TO BRING UP AT THE
15 NATIONAL ACADEMY IS TO REALLY FIGURE OUT WITH THE FDA
16 WHAT IT IS THAT THEY'RE LOOKING FOR AND HOW WE MIGHT BE
17 ABLE TO MAKE IT SO THAT IT IS MORE MEANINGFUL TO THE
18 INVESTIGATORS THAT MIGHT BE DEVELOPING OR DERIVING NEW
19 STEM CELL LINES OR EVEN INCLUDING FETAL TISSUE.

20 WE'RE TRYING TO PUSH THEM A LITTLE BY GIVING
21 THEM SOME INFORMATION UP FRONT SAYING THIS IS HOW WE
22 WOULD BEGIN TO THINK ABOUT HOW WE WOULD USE SUCH
23 TISSUE. NOW COMMENT ON IT RATHER THAN JUST ASKING FOR,
24 YOU KNOW, ADVICE UP FRONT WITHOUT ANY REAL STRUCTURE.

25 CHAIRMAN LO: ANY OTHER COMMENTS ON THIS FROM

1 OTHERS ON THE CALL?

2 DR. PRIETO: I JUST WANTED TO LET YOU KNOW
3 THAT I HAD JOINED THE MEETING. AND I JUST WONDERED IF
4 WE DO NOT INCLUDE SOMETHING LIKE THIS IN REGULATIONS,
5 DO WE HAVE ANOTHER VEHICLE THAT WE WOULD USE THAT WOULD
6 BE APPROPRIATE, OR IS THIS JUST SOMETHING BEST LEFT
7 ALONE?

8 DR. LOMAX: ONE OF THE VEHICLES IS WITHIN THE
9 GRANT ITSELF AND WITHIN THE POLICY. I MEAN THERE'S
10 OTHER WAYS OF APPROACHING INSTITUTIONS PARTICULARLY IN
11 THE BACK AND FORTH THAT WILL GO ON IN THE
12 ADMINISTRATION OF THE GRANT.

13 DR. PRIETO: RIGHT. THROUGH GRANTS
14 ADMINISTRATION. OKAY.

15 DR. LOMAX: CERTAINLY THE EVOLUTION -- NOT
16 THE EVOLUTION, BUT INITIALLY THIS FETAL TISSUE POLICY
17 WAS, YOU KNOW, IN NEED -- THE GRANTS GROUP SAW THE NEED
18 FOR THIS POLICY, SO THEY ASKED US TO SORT OF FORMULATE
19 AND BRING THE POLICY FORWARD. AGAIN, WE CAN COME BACK
20 TO THEM AND SORT OF SAY, WELL, WITH THIS POLICY IN
21 PLACE, HERE ARE SOME -- WE CAN BRING RECOMMENDATIONS OR
22 WHATEVER WE FEEL IS USEFUL.

23 THE ONLY SORT OF CONSIDERATION IS THE
24 POSSIBILITY OF WHAT THEY CALL SORT OF BACK-DOOR
25 REGULATION, BUT I THINK IN THE CASE OF PROVIDING SOME

1 EDUCATIONAL GUIDANCE ON AN EXISTING FEDERAL REGULATION,
2 SCOTT, CORRECT ME IF I'M WRONG, I THINK WE CERTAINLY
3 HAVE THE ABILITY TO SORT OF QUERY AND PROVIDE BASIC
4 FACTUAL INFORMATION ABOUT EXISTING LAW. YOU SEE ANY
5 PROBLEM THERE?

6 MR. TOCHER: NO. IN CONCEPT, NO. I THINK
7 PROBABLY HAVING IT IN THE GAP WOULD BE, I PRESUME,
8 SOMETHING ALONG THE LINES, GIVEN THE NATURE OF WHAT THE
9 GAP IS, THAT DOCUMENT, THAT IT WOULD BE ALONG THE LINES
10 OF A REPORTING REQUIREMENT, NOT AN ACTUAL SUBSTANTIVE
11 REQUIREMENT, BUT JUST IN THE LIST OF REPORTS AND
12 INFORMATION THAT CIRM WOULD BE ENTITLED TO GET FROM THE
13 GRANTEE WOULD BE AN ASSURANCE, FOR INSTANCE, OF
14 COMPLIANCE WITH, AND THIS WOULD BE AN EXAMPLE, OF THESE
15 FEDERAL REGULATIONS. IF IT WERE IN A GAP SITUATION,
16 THAT'S PROBABLY WHAT IT WOULD LOOK LIKE.

17 CHAIRMAN LO: I THINK THERE'S ONE OTHER
18 MECHANISM, AND THAT'S PERHAPS THROUGH THE TRAINING
19 GRANTS, THAT THERE ARE PLANS TO HAVE AN ANNUAL MEETING
20 OF THE GRANTEES. AND TO THE EXTENT THAT THAT WOULD BE
21 AN EDUCATIONAL PROGRAM, IT'S CERTAINLY NOT OUTSIDE THE
22 QUESTION. IT WOULD DEPEND ON THOSE ORGANIZING THAT
23 MEETING TO HAVE SOMETHING ON THAT SORT OF BREAKING
24 ETHICAL ISSUES. AND THIS MIGHT BE SOMETHING ON THAT
25 LIST. SO I THINK THERE ARE WAYS OF HAVING AN

1 EDUCATIONAL IMPACT THROUGH THE VARIOUS ACTIVITIES CIRM
2 DOES.

3 MR. TOCHER: RIGHT. AND SO LONG AS THE TEST
4 TO HAVING IT IN THE REGULATION OR HAVING IT IN THE FORM
5 YOU DESCRIBED IS JUST WHAT THE CIRM ENDS UP DOING WITH
6 THE INFORMATION. IF WE END UP HINGING A GRANT ON THIS
7 SORT OF THING, MAKING A CONSEQUENCE OF IT, THEN THAT'S
8 WHERE YOU GET INTO THE FIELD OF WANTING TO MAKE SURE
9 IT'S NOT AN UNDERGROUND REGULATION, BUT THAT IT'S VERY
10 EXPLICIT.

11 CHAIRMAN LO: SO WE'VE HEARD A NUMBER OF
12 COMMENTS SAYING, I THINK, IF I UNDERSTAND IT RIGHT, THE
13 GIST WOULD BE TO REALLY REMOVE D FROM THESE REGULATIONS
14 AND SORT OF TRANSFER THE THOUGHT INTO SOME SORT OF
15 EDUCATIONAL SORT OF INFORMAL MANDATE, BUT NOT TO PUT IT
16 IN REGULATIONS. DOES THAT FAIRLY SUMMARIZE WHAT I
17 HEARD ON THE CONVERSATION FROM, GOING BACKWARDS, JOHN,
18 ALTA, AND TED, I THINK? OTHER THOUGHTS ON THIS?

19 DR. WAGNER: THIS IS JOHN AGAIN. ONE THING,
20 IN THE COVER LETTER WE SAY REGULATIONS GOVERNING THE
21 USE OF FETAL TISSUE. IN THE BOTTOM YOU HAVE
22 RECOMMENDATION. THEN YOU ALSO MAKE A STATEMENT OF CORD
23 BLOOD. HOWEVER, THE PIECE OF PAPER THAT SAYS AGENDA
24 ITEM NO. 16, DOES IT SAY ANYWHERE IN THERE CORD BLOOD
25 BECAUSE I DON'T THINK OF CORD BLOOD AS FETAL TISSUE?

1 CHAIRMAN LO: THESE SHOULD BE FETAL TISSUE
2 REGULATIONS.

3 DR. LOMAX: THAT MAY BE A RELIC. AT ONE
4 POINT A LONG TIME AGO, WE WERE DEALING WITH BOTH THESE
5 ISSUES TOGETHER, AND THEN THEY SEPARATED OUT BECAUSE WE
6 DEALT WITH CORD BLOOD IN A DIFFERENT PART OF THE
7 REGULATION. SO THAT MAY JUST BE A RELIC WHERE THERE'S
8 A MISHEADER THERE.

9 THESE REGULATIONS ARE INTENDED -- ARE WRITTEN
10 TO DEAL EXCLUSIVELY WITH FETAL TISSUE.

11 DR. WAGNER: OKAY. JUST WANTED TO MAKE SURE.

12 CHAIRMAN LO: WE DO NOT HAVE A QUORUM, BUT I
13 THINK IT'S THE SENSE OF THE MEETING THAT WE FORWARD ON
14 TO THE ICOC SECTION A, B, AND C OF THE 100085 AND
15 DELETE SECTION D FROM WHAT WE WOULD ASK THE ICOC TO
16 APPROVE. I GUESS FIRST I'D LIKE TO JUST ASK IF THERE'S
17 ANY PUBLIC COMMENT? THERE ARE A NUMBER OF PEOPLE HERE.
18 I DON'T KNOW IF THERE ARE PUBLIC PEOPLE ON THE CALL.
19 ANYONE FROM THE PUBLIC LIKE TO MAKE A COMMENT ON THIS
20 ISSUE OF FETAL TISSUE REGULATIONS? OKAY. THERE IS
21 NONE.

22 WOULD SOMEONE LIKE TO MOVE THAT WE RECOMMEND
23 THE SENSE OF THE COMMITTEE, NOT A BINDING RESOLUTION,
24 THAT WE SUGGEST THAT THE ICOC ADOPT A, B, AND C OF
25 SECTION 100085?

1 DR. PETERS: SO MOVED.

2 CHAIRMAN LO: SOMEONE WANT TO SECOND THAT?

3 MS. CHARO: SECOND.

4 CHAIRMAN LO: THANKS, ALTA. WHY DON'T WE
5 JUST GO THROUGH AND VOTE HERE. TED YOU WANT TO VOTE.

6 DR. PETERS: AYE.

7 CHAIRMAN LO: I'LL VOTE AYE. ALTA?

8 MS. CHARO: AYE.

9 CHAIRMAN LO: JOHN?

10 DR. WAGNER: AYE.

11 CHAIRMAN LO: FRANCISCO?

12 DR. PRIETO: AYE.

13 CHAIRMAN LO: IS ROB STILL ON THE CALL? I
14 THINK HE GOT ON HIS PLANE. ANYBODY ELSE DID I MISS?

15 DR. LOMAX: MARCY, ARE YOU STILL ON THE CALL?

16 MS. FEIT: AYE.

17 CHAIRMAN LO: OKAY. ANYONE ELSE I MISSED
18 FROM THE SWG? OKAY. GOOD. SO, AGAIN, THIS IS ONLY A
19 SENSE OF THE COMMITTEE, BUT I THINK THIS WILL BE VERY
20 USEFUL FOR THE ICOC. AND THE PLAN IS TO PRESENT THIS
21 TO THE ICOC FOR THEIR APPROVAL DECEMBER 7TH AND ALSO TO
22 POST THIS FOR A 15-DAY PUBLIC COMMENT PERIOD. SO THIS
23 WILL SORT OF MOVE ALONG AS AN ADDITIONAL REGULATION TO
24 BE ADDED TO WHAT'S ALREADY BEEN APPROVED.

25 DR. LOMAX: ONE THING I'LL ADD ON THAT FDA

1 LANGUAGE, IN THE SECTION I DESCRIBED EARLIER ABOUT
2 REPORTING, ONE OF THE THINGS OAL POINTED OUT TO US IS
3 WE HAD SOME LANGUAGE, AND I'M SORRY I DON'T HAVE THE
4 EXACT TEXT IN FRONT OF ME, BUT WE SAID SOMETHING TO THE
5 REGARD THAT GRANTEES SHALL MAINTAIN RECORDS OF ANY SORT
6 OF SAFETY SCREENING OF MATERIALS. AND OAL SORT OF
7 FLAGGED THAT AS VERY AMBIGUOUS. SO IN THE REDRAFT OF
8 THE REGULATION THAT, AGAIN, I'LL CIRCULATE LATER TODAY,
9 WE ACTUALLY INDICATED THAT THEY SHOULD KEEP RECORDS OF
10 ANY SCREENING REQUIRED AS A RESULT OF THE FDA
11 REGULATIONS.

12 SO WE DO ACTUALLY HAVE ONE SMALL FLAG IN THE
13 REGULATIONS THAT SAYS IF YOU'RE DOING SAFETY SCREENING
14 PURSUANT TO THESE FDA REQUIREMENTS, WHICH RELATE
15 SPECIFICALLY TO TISSUE AND MATERIALS INTENDED FOR HUMAN
16 TRANSPLANTATION, THEN YOU NEED TO KEEP RECORDS OF THAT
17 AND THAT THOSE RECORDS NEED TO BE AVAILABLE AT CIRM'S
18 REQUEST. SO WE DO, IN FACT, HAVE A SMALL FLAG IN THE
19 REGULATIONS UNDER THAT SPECIFIC SET OF CIRCUMSTANCES
20 WHERE INSTITUTIONS HAVE DEVELOPED MATERIALS INTENDED
21 FOR TRANSPLANTATION. IT'S JUST IN A RECORDKEEPING
22 SECTION AS OPPOSED TO FETAL TISSUE SECTION.

23 CHAIRMAN LO: THIS IS IN SECTION 100200,
24 WHICH WILL BE CIRCULATED TODAY.

25 DR. LOMAX: CIRCULATED TODAY, CORRECT.

1 MS. CHARO: IF I MAY. JUST A SUGGESTION FOR
2 SOMETHING TO THINK ABOUT ONCE CIRM IS UP AND RUNNING
3 WITH FULL FUNDING. BUT A NUMBER OF UNIVERSITIES ARE
4 STRUGGLING WITH WAYS TO WALK THEIR INVESTIGATORS
5 THROUGH THE APPLICABLE RULES AND TO SIMULTANEOUSLY
6 EDUCATE THEM AND ALSO ENSURE COMPLIANCE. AND SEVERAL
7 ARE TRYING TO DEVELOP WEB-BASED INTERFACES THAT WILL
8 ASK YOU A SERIES OF QUESTIONS AND THEN AUTOMATICALLY
9 DIRECT YOU TO THE NEXT APPROPRIATE AREA THE WAY
10 TURBOTAX DOES IS WALK YOU THROUGH A TAX RETURN.

11 IT DOES STRIKE ME THAT OUR REGULATIONS ARE SO
12 COMPLEX AND INTERRELATE WITH THINGS LIKE THE FDA RULES
13 AND MANY OTHERS, THAT SOMETHING THAT WOULD HELP THE
14 RESEARCH COMMUNITY AND THE UNIVERSITIES WOULD BE SOME
15 DEGREE OF STANDARDIZATION HERE. AND IT MIGHT BE
16 POSSIBLE TO HELP THE GRANTS TO DEVELOP SUCH AN
17 INTERFACE THAT ONLY NEEDS MINIMAL TWEAKING FOR EACH
18 INSTITUTION, WHICH WILL HAVE SLIGHTLY DIFFERENT
19 COMMITTEES PERHAPS IN NAMES AND THINGS, BUT BASICALLY
20 TO WALK THEM THROUGH.

21 CHAIRMAN LO: OKAY. SUGGESTION FOR A NEW
22 CIRM ACTIVITY. AND LET'S --

23 DR. LOMAX: I THINK WE CAN THINK ABOUT THAT.
24 THERE'S CERTAINLY, IF YOU LOOK AT THE STRATEGIC PLAN, I
25 THINK THERE'S CATEGORICAL SORT OF POTS OF MONEY THAT

1 PERHAPS COULD BE USED TOWARD SORT OF COMPLIANCE AND
2 QUALITY ASSURANCE. SO I THINK THERE'S CERTAINLY SPACE
3 IN THE STRATEGIC PLAN TO SORT OF LOOK AT THOSE TYPES OF
4 ISSUES.

5 MS. CHARO: OKAY.

6 DR. LOMAX: I'LL ADD THAT TO MY SORT OF
7 RUNNING LIST OF GOOD IDEAS.

8 CHAIRMAN LO: BUT YOU'RE RIGHT. COMPUTERS
9 CAN REMIND PEOPLE OF THINGS THAT ARE HARD FOR THE HUMAN
10 BRAIN TO KEEP IN ALL AT ONE TIME. OTHER COMMENTS,
11 THOUGHTS, SUGGESTIONS?

12 DR. WAGNER: BASED ON WHAT GEOFF HAD
13 PREVIOUSLY DISCUSSED ABOUT KEEPING SOME RECORD OF WHAT
14 TESTS HAVE BEEN PERFORMED, YOU KNOW, SINCE IT HAS TO BE
15 DONE, IT FILLS A NUMBER OF WHAT YOU'RE LOOKING TO DO,
16 WHY NOT JUST ASK FOR A COPY OF THE IND? YOU CAN'T USE
17 FETAL TISSUE WITHOUT AN IND. THIS PROVIDES YOU WITH
18 ALL THE TESTING THAT'S BEEN DONE. IF THE IND IS
19 APPROVED BY THE FDA, YOU KNOW THAT IT'S FULFILLED ALL
20 THE REQUIREMENTS FDA WOULD HAVE REVIEWED. IS THERE A
21 REASON, OR IS THAT TOO MUCH -- MAYBE YOU DON'T WANT TO
22 BE THAT INVOLVED, BUT DOES SERVE FOR YOU THE PURPOSE
23 THAT THEY HAVE MET ALL THE REGULATIONS AS REQUIRED BY
24 THE FDA. IN ADDITION, IT PROVIDES YOU WITH ALL THE
25 TESTING THAT'S BEEN DONE.

1 MS. CHARO: I'M SORRY, JOHN. YOU'RE SAYING
2 THAT YOU CAN'T USE FETAL TISSUE WITHOUT AN FDA IND?

3 DR. WAGER: NOT AS FAR AS I KNOW.

4 CHAIRMAN LO: CLINICAL TRIALS.

5 MS. CHARO: IN CLINICAL TRIALS, YEAH, BUT FOR
6 NONCLINICAL TRIALS, YOU WOULDN'T GO TO THE FDA, WOULD
7 YOU?

8 DR. WAGNER: NO. BUT FOR CLINICAL TRIALS
9 THAT'S WHERE YOU ARE REALLY WORRIED ABOUT TISSUE
10 PRACTICES.

11 MS. CHARO: THAT'S A GOOD POINT.

12 CHAIRMAN LO: AGAIN, I THINK WHAT YOU'RE
13 GETTING AT, JOHN, TO WHAT EXTENT ARE WE BEING
14 COUNTERPRODUCTIVE RATHER THAN USEFUL IN SORT OF JUST
15 REMINDING PEOPLE IF YOU ARE GOING TO DO SCREENING
16 TESTS, KEEP A CLOSE RECORD OF -- KEEP A GOOD RECORD OF
17 THEM.

18 DR. WAGNER: WHAT'S DIFFERENT ABOUT IT, I
19 WOULD SAY THAT IT'S OVERBOARD, BUT IN THIS CASE YOU ARE
20 PROVIDING FUNDING FOR SUCH RESEARCH. AND I THINK THAT
21 IF PART OF WHAT YOUR MANDATE IS IS TO VERIFY THAT THE
22 RESEARCH IS DONE UNDER WHAT YOU CONSIDER TO BE BEST
23 PRACTICES, THIS IS AT LEAST SOMETHING THAT'S A QUICK --
24 IT DOESN'T ADD ANY WORK, IT PROVIDES YOU ALL THE
25 INFORMATION THAT YOU WANT, AND, FINALLY, THE

1 REASSURANCE THAT ALL THE REGULATIONS HAVE BEEN MET
2 PROPERLY. REMEMBER, YOU'RE NOT SAYING THAT YOU HAVE TO
3 WRITE AN IND. WHAT YOU'RE SAYING IS IF AN IND IS
4 WRITTEN OR BECAUSE IT WILL BE USED CLINICALLY, THEN IT
5 HAS ACHIEVED ALL THE ELEMENTS THAT YOU ARE LOOKING FOR.
6 AND IT'S ALREADY DONE. ALL THEY'RE DOING IS PROVIDING
7 YOU OR YOU CAN EITHER SAY YOU WANT TO KEEP A COPY OR
8 THAT YOU HAVE ACCESS TO A COPY SHOULD YOU WANT TO GO
9 BACK AND LOOK.

10 IT'S NO DIFFERENT THAN WHAT YOU'RE ASKING FOR
11 THE SCREENING TESTS THAT HAVE BEEN DONE. THAT'S WHAT
12 LED ME TO THINK WHY NOT JUST ASK FOR THE IND, AND THEN
13 IT CAPTURES ALL THE ELEMENTS OF WHAT YOU WERE LOOKING
14 FOR.

15 CHAIRMAN LO: I GUESS THE ISSUE WOULD BE SORT
16 OF AT WHAT POINT IN THE RESEARCH THIS WOULD COME INTO
17 PLAY. I GUESS BEFORE YOU REACH THE IND STAGE, SOMEONE
18 MAY JUST BE DERIVING A FETAL STEM CELL LINE, THINKING
19 THAT, WELL, IF ALL WORKS WELL, WE'D LIKE TO USE IT FOR
20 CLINICAL TRIALS, BUT THEY TO HAVE DO JUST A LOT MORE
21 BASIC RESEARCH AND VERIFICATION FIRST SO THAT THEY
22 WOULD NOT NECESSARILY BE WRITING AN IND OR HAVE AN IND
23 AT HAND WHEN THEY'RE APPLYING FOR CIRM FUNDS FOR THE
24 ORIGINAL DERIVATION.

25 AND SO I GUESS THE ISSUE IS, GIVEN THAT IF

1 CIRM IS GOING TO INVEST IN THE DERIVATION OF A LINE, DO
2 WE WANT TO SORT OF ENCOURAGE THE INVESTIGATORS TO AT
3 LEAST HAVE THOUGHT ABOUT WHAT THINGS THEY MAY WANT TO
4 DO TO MAKE IT USABLE FOR CLINICAL TRIALS DOWNSTREAM IF
5 THE RESEARCH IS SUCCESSFUL. SO I GUESS THE QUESTION
6 WOULD BE BEFORE YOU GET TO THE POINT OF GOING TO THE
7 FDA, IF YOU'RE SEEKING CIRM FUNDING, IS THERE SOMETHING
8 THAT WE COULD DO TO KIND OF FLAG THIS ISSUE FOR
9 INVESTIGATORS, BOTH TO HAVE THEM THINK ABOUT WHAT
10 SCREENING THEY MIGHT WANT TO CONTEMPLATE, BUT ALSO TO
11 DO THE RECORDKEEPING. I THINK IT'S AN OPEN QUESTION.

12 DR. WAGNER: I GUESS IF YOU ARE GOING -- WHY
13 WOULD YOU -- AGAIN, THIS IS COMING DOWN TO, YES, I KNOW
14 YOU WANT TO ENCOURAGE THAT THEY KEEP CERTAIN SCREENING
15 INFORMATION, BUT THAT'S ONLY IF THEY GO TO CLINICAL
16 TRIAL.

17 CHAIRMAN LO: YOU MAY NOT -- YOU MAY ONLY
18 HAVE A LIMITED WINDOW OF OPPORTUNITY TO SORT OF GET
19 CONTACT INFORMATION ON PEOPLE YOU'LL NEED TO GET THAT
20 SCREENING INFORMATION FROM. IF YOU WAIT TILL YOU'RE
21 ABOUT TO GO INTO A CLINICAL TRIAL, YOU MAY NOT BE ABLE
22 TO GO BACK TO THE PEOPLE WHO YOU NEED TO CONTACT FOR
23 THE SCREENING; FOR INSTANCE, PEOPLE OTHER THAN THE
24 BIRTH MOTHER.

25 DR. PRIETO: SORT OF WHAT OCCURS TO ME IS IF

1 WE'RE ANTICIPATING THAT SOMEWHERE DOWN THE ROAD THERE
2 MAY BE A FUTURE CLINICAL APPLICATION, BUT THE RESEARCH
3 WE'RE FUNDING IS WELL UPSTREAM OF THAT, WE DO WANT TO
4 ENCOURAGE PEOPLE TO KEEP ADEQUATE RECORDS SO THAT THOSE
5 CLINICAL TRIALS WOULD BE FEASIBLE. I THINK IF THERE
6 ISN'T THAT KIND OF RECORDKEEPING KEPT, THEN DOWNSTREAM
7 THE PRODUCT MAY NOT BE USABLE.

8 MS. CHARO: THIS IS A PHENOMENON THAT'S GOING
9 TO REALLY HAVE -- THIS IS MOST APPLICABLE AT THE POINT
10 OF COLLECTION OF MATERIALS. AND IN MANY WAYS THAT'S
11 GOING TO BE AT THE CLINICAL SETTING WHERE THE EMBRYOS
12 ARE BEING DEVELOPED AND THEN DISCARDED. IT MAY BE
13 THAT -- I FORGET WHO IT WAS THAT SAID PERHAPS IN THE
14 GRANTING PROCESS, SOME EXPLANATORY MATERIAL ABOUT
15 THINGS TO CONSIDER WOULD BE MOST APPROPRIATE BECAUSE
16 BEFORE THE INVESTIGATOR ACTUALLY BEGINS THE
17 COLLABORATION WITH THE CLINICAL SITE, THAT WOULD BE THE
18 CONVERSATION THAT THE INVESTIGATOR WOULD HAVE TO HAVE.
19 ANY KIND OF MEMOIR THAT YOU ADD TO THE GRANTS
20 APPLICATION PROCESS OR TO THE GRANT APPROVAL LETTER
21 WOULD BE SUFFICIENT.

22 CHAIRMAN LO: I THINK WE SHOULD CERTAINLY
23 TALK TO THE GRANTS PEOPLE TO SEE IF THEY FEEL
24 COMFORTABLE HAVING THAT AS SOMETHING IN THE GRANT, THE
25 RFP, I GUESS, SOMETHING THEY WANT TO SEE WITHOUT --

1 MS. CHARO: BERNIE, IT DOESN'T EVEN HAVE TO
2 BE ANYTHING IN REGULATION OR IN THE RFP. THERE'S NO
3 REASON WHY, AS A MATTER OF PRACTICE, OUTSIDE OF
4 REGULATIONS, THAT A GRANTING AGENCY CAN'T INCLUDE IN
5 THEIR LETTER WHAT KIND OF POINTS TO CONSIDER. AS FAR
6 AS THE GRANT REVIEW, SURE, THE GRANT REVIEW PROCESS, I
7 WOULD PRESUME THAT THE GRANT REVIEWERS ARE NOT GOING TO
8 SAY YES TO A GRANTEE WHO PROPOSES TO DERIVE LINES THAT
9 MAY EVENTUALLY HAVE THERAPEUTIC TRANSPLANT APPLICATIONS
10 IF THE GRANTEE HAS NOT PUT IN PLACE A PLAN FOR
11 COLLECTING NECESSARY INFORMATION ABOUT ORIGINAL TISSUE
12 DONORS.

13 CHAIRMAN LO: YEAH. I MEAN IF IT CAN BE DONE
14 SOLELY THROUGH THE GRANT-MAKING PROCESS WITHOUT
15 REQUIRING REGULATION, THERE'S SOME ATTRACTION TO THAT.
16 SO LET US TAKE THAT TO THE GRANTS PEOPLE AND SEE HOW
17 THEY FEEL ABOUT THAT AND IF THEY'RE SUPPORTIVE AND THEY
18 CAN SAY, YES, WE DON'T NEED FOR YOU TO PUT ANYTHING IN
19 REGULATION AS OPPOSED TO WHATEVER. SCOTT.

20 MR. TOCHER: I GUESS MY REACTION WOULD BE IF
21 THIS IS -- TYPICALLY ITEMS IN AN RFP ARE SPECIFIC TO
22 THAT PARTICULAR GRANT, SOMETHING THAT IS UNIQUE TO THAT
23 PARTICULAR GRANT OR COUPLE OF GRANTS. AND SO THAT
24 MIGHT BE AN APPROPRIATE VEHICLE.

25 IF IT WAS SOMETHING, HOWEVER, THAT ACTUALLY

1 HAD SORT OF BROADER, MORE UNIFORM APPLICATION ON GRANTS
2 ACROSS THE BOARD, THEN IT PROBABLY WOULD NOT SUFFICE.
3 THEN IT WOULD BECOME SORT OF AN UNDERGROUND REGULATION.
4 IT WOULD BE A STANDARD THAT'S BEING APPLIED TO A BROAD
5 CLASS ALMOST UNIFORMLY IN A CONSISTENT BASIS. SO I
6 GUESS IT WOULD DEPEND, THEN, ON REALLY HOW OFTEN, HOW
7 UNIQUE THIS PARTICULAR CRITERIA IS. IT SOUNDS AS
8 THOUGH IT WOULD BE SOMEWHAT UNIQUE TO SPECIFIC TYPES OF
9 GRANTS, BUT I'M NOT -- I DON'T HAVE THE EXPERTISE TO
10 ANSWER THAT.

11 CHAIRMAN LO: I GUESS IT DEPENDS ALSO ON HOW
12 THE GRANT-MAKING PROCESS IS GOING TO RUN. IF THEY'RE
13 JUST GOING TO HAVE TOTALLY OPEN APPLICATIONS AS OPPOSED
14 TO SPECIFIC GRANTS TO DERIVE NEW STEM CELL LINES, YOU
15 MAY NOT BE ABLE -- YOU'RE JUST GOING TO GET THINGS
16 COMING IN THE DOOR AND NOT KNOW -- NOT HAVE A WAY OF
17 TELLING PEOPLE WHAT THE REQUIREMENTS ARE.

18 MR. TOCHER: RIGHT.

19 CHAIRMAN LO: IT SOUNDS LIKE MAYBE, GEOFF, WE
20 SHOULD TRY AND TALK TO ARLENE AND THE GRANTS PEOPLE
21 ABOUT THIS AND SEE IF THIS PROVISION IS NEEDED IN
22 100200; AND IF THEY FEEL STRONGLY IT'S NOT NEEDED, THEN
23 WE MAY WANT TO OMIT IT FROM WHAT'S BEING PUT OUT FOR
24 PUBLIC COMMENT. OR DO YOU WANT TO JUST PUT IT OUT FOR
25 PUBLIC COMMENT?

1 DR. LOMAX: WHY DON'T FOLKS TAKE A LOOK AT
2 THE LANGUAGE. THE LANGUAGE IN 100200 IS -- AND I
3 WILL -- AGAIN, I WILL CIRCULATE THAT IMMEDIATELY AFTER
4 THIS CALL WHILE IT'S FRESH. IT'S RELATIVELY TAME. IT
5 JUST SAYS IF YOU'VE DONE IT, WE MIGHT WANT TO TAKE A
6 LOOK AT IT. WE DON'T WANT TO REQUIRE THEM TO REPORT IT
7 TO US BECAUSE THAT THEN SORT OF REQUIRES US TO CREATE A
8 WHOLE NEW SORT OF COLLECTION INFRASTRUCTURE. ALL IT
9 SIMPLY SAYS IS IF YOU'VE DONE ANY TESTING PURSUANT TO
10 FDA AROUND THESE SAFETY ISSUES, WHICH FOR THE MOST PART
11 ARE INFECTIOUS DISEASE, THEN WE MAY WANT TO ASK YOU
12 ABOUT THAT IN THE FUTURE.

13 SO THERE'S NO SORT OF MANDATORY THOU SHALT
14 REPORT. IT'S ONLY THAT IF THOU HAS HAD TO TRIGGER THIS
15 FDA REQUIREMENT, THEN WE MIGHT COME BACK AND ASK YOU
16 ABOUT IT IN THE FUTURE BECAUSE WE ACTUALLY GET INTO
17 TROUBLE IF WE START CREATING MANDATORY REPORTING
18 REQUIREMENTS AND DON'T HAVE SOME SORT OF SYSTEM FOR
19 INTAKE. AND WE DON'T WANT TO CREATE ADDITIONAL SYSTEMS
20 FOR INTAKE. WE'RE ALMOST OVERLOADED ON THAT FRONT
21 ALREADY.

22 AGAIN, I WILL CIRCULATE THAT, BUT IT WAS JUST
23 TO ACCOMPLISH THAT SORT OF THRESHOLD GOAL OF SORT OF
24 RECOGNITION THAT THERE ARE FDA REQUIREMENTS OUT THERE
25 WITHOUT, AGAIN, GOING INTO ANY SORT OF MANDATORY

1 REPORTING SCHEME.

2 AND, AGAIN, THIS WAS GETTING BACK AT -- WE
3 ORIGINALLY DID HAVE LANGUAGE IN THE REGULATIONS THAT
4 SAID WE WANTED TO KNOW WHAT YOU'VE DONE IN THE AREA OF
5 SAFETY SCREENING, SO WE DIDN'T TRY TO CREATE A NEW
6 REGULATION HERE. WE TRIED TO TAKE THE EXISTING
7 LANGUAGE AND MAKE IT PALATABLE TO THE OFFICE OF
8 ADMINISTRATIVE LAW. SO IF THE WORKING GROUP FEELS THAT
9 THAT LANGUAGE IS NO LONGER SORT OF WARRANTED, THEN WE
10 SHOULD BRING IT BACK AND GO THROUGH THE COMMITTEE
11 PROCESS AND SAY WE NO LONGER BELIEVE THAT LANGUAGE IS
12 NECESSARY. SO WE'RE NOT TRYING TO DO ANYTHING NEW;
13 WE'RE JUST TRYING TO MAKE WHAT WE'VE ALREADY GOT RIGHT
14 FOR REGULATORY PURPOSES.

15 CHAIRMAN LO: SO IT SOUNDS LIKE WHEN WE
16 CIRCULATE THIS 100200, ONE THING THAT WE'D WANT SOME
17 FEEDBACK ON IS WHETHER YOU THINK THAT THIS PARTICULAR
18 PROVISION, WHICH YOU'LL SEE LATER TODAY, REALLY SHOULD
19 BE DELETED OR NOT. AND THE SECOND ISSUE IS WHETHER,
20 BEFORE IT GETS POSTED, WE SHOULD CHECK BACK WITH THE
21 GRANTS GROUP AS TO WHETHER THEY THINK IT'S UNNECESSARY
22 IN THE SENSE THEY CAN ACCOMPLISH THE SAME THING THROUGH
23 THE GRANTS PROCESS.

24 AND THE ISSUES THAT JOHN AND ALTA RAISED, IF
25 WE DON'T NEED A REGULATION, BUT CAN ACCOMPLISH THE SAME

1 GOAL ANYWAY, THAT'S CERTAINLY SOMETHING WE WANT TO
2 THINK ABOUT. SO LET'S SORT OF ADOPT THAT STRATEGY AS
3 WE MOVE FORWARD.

4 DR. LOMAX: SURE. AND I WOULD ASK FOLKS. WE
5 ARE A BIT TIGHT WITH REGARD TO NEEDING TO GET THIS
6 REGULATION POSTED. SO PEOPLE TAKE A LOOK AT THIS AND
7 REALLY FEEL SOMEHOW WE DON'T NEED THAT LANGUAGE IN
8 REGULATION, PLEASE LET ME KNOW AS SOON AS POSSIBLE
9 BECAUSE WE DO HAVE A DEADLINE TO GET THAT POSTED SO WE
10 CAN GET IT APPROVED BY THE ICOC IN DECEMBER. WE START
11 TO TRIGGER A SERIES OF TIMELINES.

12 DR. WAGNER: CAN I MAKE ONE MORE COMMENT?
13 ONE THING THAT WE DON'T WANT TO FORGET IS THINK ABOUT
14 IT FOR A SECOND. WHEN WE TALK ABOUT EMBRYOS, WE'RE IN
15 A DIFFERENT CIRCUMSTANCE THAN WE'RE TALKING ABOUT FETAL
16 TISSUE. THE FETAL TISSUE IS GOING TO BE DONE -- WE'RE
17 GETTING THIS TISSUE FROM AN ABORTION CLINIC. WHAT
18 INFORMATION ALREADY EXISTS IN THE ABORTION CLINIC? AND
19 I DON'T KNOW. I DON'T KNOW WHAT THAT INFORMATION IS.
20 I DON'T KNOW WHAT KIND OF TESTING IS DONE ON THESE
21 WOMEN BEFORE AN ABORTION IS PERFORMED. BUT AS AN
22 INVESTIGATOR, THEORETICALLY, IF YOU WERE COLLECTING
23 THAT FETAL TISSUE, I WOULD HAVE MADE SOME ARRANGEMENT.
24 I HAVE NOTHING TO DO WITH THE ABORTION ITSELF. I MAKE
25 ARRANGEMENTS WITH THAT CLINIC TO GET THE TISSUE. WHAT

1 IS IT YOU THINK I WOULD BE ASKING THAT I WOULD NEED TO
2 COLLECT THAT I WOULD HAVE THIS ONE OPPORTUNITY AND MAY
3 NEVER HAVE IT AGAIN IF I'M DERIVING SOME CELL LINE FROM
4 THIS FETAL TISSUE? WHAT IS IT I MAY EVEN BE ABLE TO
5 ASK FOR SINCE I'M NOT GOING TO BE INTERACTING WITH THIS
6 WOMAN AT ALL?

7 FOR EXAMPLE, IF YOU WERE ASKING FOR A GENETIC
8 HISTORY OR SOME INFECTIOUS DISEASE SCREENING OR
9 WHATEVER, WHAT IS IT THAT AN ABORTION CLINIC WOULD BE
10 CAPABLE OF DOING? I GUESS WE HAVE TO THINK -- MY POINT
11 IS WE HAVE TO THINK ABOUT THE SPECIFIC SCENARIO OF
12 FETAL TISSUE, WHICH IS VERY DIFFERENT THAN COLLECTING
13 CORD BLOOD OR VERY DIFFERENT THAN COLLECTING EMBRYOS.
14 THIS ONE IS UNIQUE IN THAT IT'S UNDER A DIFFERENT
15 CIRCUMSTANCE WHERE THE WOMAN COMES IN. THERE'S NO TIME
16 TO GO BACK AND THINK ABOUT LIKE WE WERE SUGGESTING WITH
17 EMBRYO RESEARCH. HERE YOU'RE GIVEN THIS BRIEF WINDOW
18 OF OPPORTUNITY, AND WE'RE NOT EVEN DIRECTLY INTERACTING
19 WITH THAT CLINIC OR THAT PATIENT. WE'RE RELYING ON THE
20 CLINIC STAFF TO PROVIDE SOMETHING TO US.

21 I GUESS MY FEELING IS THAT, WHAT ARE WE
22 WORRIED ABOUT, THAT THE FDA MIGHT LATER COME BACK AND
23 ASK US THAT WE WOULD HAVE TO CAPTURE AT THAT MOMENT?

24 MS. CHARO: JOHN, FIRST, I THINK THAT'S AN
25 INCREDIBLY SAVVY COMMENT. WE ALL KNOW WHAT THE FDA IS

1 LOOKING FOR. THEY'RE LOOKING FOR PRIMARILY INFECTIOUS
2 DISEASE INFORMATION ABOUT THE TISSUE DONORS. AND IN
3 THIS CASE, PRESUMABLY, IT WOULD BE THE MALE PARTNER,
4 WHICH IS A VERY DIFFICULT THING TO ASK IN THE ABORTION
5 CLINIC CONTEXT. I KNOW THAT WE HAVE NO INTENTION OF
6 ALTERING CLINICAL CARE PATTERNS IN ANY WAY.

7 IT DOES SEEM TO ME THAT WE MIGHT -- FINALLY,
8 WE'RE AT A SLIGHTLY DIFFERENT SITUATION, I THINK, THAN
9 IN THE ORDINARY FETAL TISSUE RESEARCH SETTING WHERE
10 IT'S DIRECT TRANSPLANTS BECAUSE HERE WE'RE TALKING
11 ABOUT POTENTIALLY DERIVING LINES FROM EMBRYONIC SPERM
12 CELLS. IS THAT IT? IF IT'S JUST STRAIGHT FETAL
13 TISSUE, IT'S NOT PLURIPOTENT TISSUE, IT WOULDN'T BE
14 COVERED UNDER THE CIRM REGS. I'M IMAGINING WE'RE
15 TALKING ABOUT FETAL TISSUE FOR THE DEVELOPMENT OF STEM
16 CELL LINES, CORRECT?

17 DR. WAGNER: RIGHT.

18 MS. CHARO: I'M HAVING A LITTLE TROUBLE
19 FIGURING OUT WHY YOU WOULD NEED MORE THAN THE
20 INFECTIOUS DISEASE, WHY YOU WOULD NECESSARILY NEED THE
21 ADDITIONAL GENETIC INFORMATION FROM THE FATHER. BUT IT
22 MIGHT BE A GOOD THING TO START BY ASKING WHAT IS
23 CURRENTLY THE PRACTICE WITH REGARD TO THE MALE PARTNER.
24 THERE'S VERY FEW RESEARCHERS THAT ARE DOING WORK ON
25 FETAL TISSUE TRANSPLANT. THERE ARE A FEW. AND OF

1 THOSE FEW, SOME OF THEM ARE WORKING WITH TISSUE FROM
2 MISCARRIED FETUSES WHERE THE MALE PARTNER IS OFTEN
3 EASIER TO IDENTIFY AND IT'S LESS SORT OF POLITICALLY
4 TOUCHY TO ASK ABOUT HIS IDENTITY. BUT THERE MUST BE
5 SOMEBODY WHO'S DOING WORK WITH ABORTED FETUSES, AND WE
6 CAN FIND OUT EXACTLY WHAT THE PRACTICE IS BEFORE WE
7 START WADING INTO THIS AREA.

8 CHAIRMAN LO: WELL, I THINK THESE ARE GOOD
9 COMMENTS IN THE SENSE THAT MAYBE WE SHOULD DEFER THIS
10 UNTIL WE HAVE MORE INFORMATION ABOUT, FIRST, WHAT IS
11 CURRENTLY BEING DONE AND, SECONDLY, WHAT THE CONCERNS
12 MIGHT BE.

13 IF YOU GO BACK TO JOHN'S QUESTION, I THINK
14 THE ISSUE IS EXACTLY WHETHER IF THERE'S ANY NEED TO DO
15 ANY SCREENING ON THE MALE PROGENITOR, YOU WOULD NEED --
16 YOU HAVE A VERY LIMITED WINDOW OF OPPORTUNITY TO GET
17 CONSENT TO CONTACT THAT PERSON. I GUESS THE CHOICE
18 WOULD BE EITHER YOU SAY WE DON'T THINK ANY CONTACT IS
19 NEEDED BECAUSE WE DON'T INTEND TO DO ANY TESTING OR
20 QUESTIONING AT ALL, OR IF YOU SAY TO LEAVE THE DOOR
21 OPEN TO BEING ABLE TO GO BACK AND ASK QUESTIONS OF EVEN
22 BASIC FAMILY GENETIC HISTORY. IF THERE'S A STRONG
23 FAMILY HISTORY OF, FOR EXAMPLE, MALIGNANCY IN THE ORGAN
24 TO WHICH YOU HOPE TO DERIVE ORGAN-SPECIFIC CELLS FROM
25 THE PLURIPOTENT STEM CELL LINE, ONE COULD RAISE THE

1 QUESTION: DO YOU WANT TO AT LEAST BE ABLE TO ASK THAT
2 QUESTION? AND YOU'RE RIGHT. THAT WOULD REQUIRE
3 WORKING THAT OUT AT THE TIME THE FETAL TISSUE IS
4 OBTAINED.

5 DR. WAGNER: FIRST OFF, WHAT WE LEARNED WITH
6 CORD BLOOD, WHICH IS INFINITELY EASIER BECAUSE OF ALL
7 THE OTHER TISSUES ASSOCIATED WITH FETAL TISSUE, EVEN
8 WITH CORD BLOOD, WE DON'T HAVE ACCESS TO THE FATHER A
9 SIGNIFICANT PROPORTION OF THE TIME, AND THE MOTHER IS
10 CERTAINLY NOT A GOOD HISTORIAN FOR A FATHER'S GENETIC
11 HISTORY.

12 SECONDLY, WHAT WE ALSO LEARNED IS THAT MOST
13 OB UNITS FOR CORD BLOOD COLLECTION HAVE NO IDEA HOW TO
14 TAKE A GENETIC HISTORY. ONE OF THE REASONS WHY CORD
15 BLOOD BANKING IS AS EXPENSIVE AS IT IS IS THAT YOU
16 SPECIFICALLY HAVE TO TRAIN PEOPLE TO TAKE A VERY
17 EXTENSIVE GENETIC HISTORY. AND SO IF YOU WANT TO DO
18 IT, YOU HAVE TO DO IT RIGHT. AND IF YOU WANT TO DO IT
19 RIGHT, THEN LITERALLY WHAT WE'RE GOING TO BE DOING IS
20 BASICALLY SETTING UP A STANDARD BY WHICH THE CIRM FUNDS
21 CAN BE USED PROBABLY AT SPECIFIC CLINICS WHO ARE
22 TRAINED SPECIFICALLY TO COLLECT THE DATA THAT YOU ARE
23 LOOKING TO COLLECT. I'M NOT SAYING IT'S A BAD THING;
24 BUT ON THE OTHER HAND, IT IS BEING NOW MORE
25 PRESCRIPTIVE IN HOW THE RESEARCHER COULD EVEN CONCEIVE

1 OF USING THIS MATERIAL TO GO FORWARD.

2 AND I THINK IT DOES REQUIRE SOME MORE
3 DISCUSSION ABOUT WHAT THE CURRENT PRACTICES ARE BECAUSE
4 I REALLY HAVE NO IDEA ABOUT AN ABORTION CLINIC. BUT ON
5 THE OTHER HAND, I THINK THAT IF YOU ARE GOING TO GO
6 DOWN THAT PATH AND YOU WANT TO BE ABLE TO ENSURE THAT
7 IF YOU ARE USING THE MONEY AND YOUR INTENT IS TO GO TO
8 CLINICAL USE, THEN YOU MAY WANT TO THEN BE MORE
9 PRESCRIPTIVE IN SAYING THIS IS WHAT YOU MUST DO IF YOU
10 ARE GOING TO USE THIS MONEY. I'M JUST THROWING THAT
11 OUT AS A POSSIBILITY. MAYBE THAT'S NOT YOUR INTENT,
12 BUT JUST KNOW THAT THIS IS NOT STRAIGHTFORWARD. THIS
13 IS NOT SOMETHING ANYBODY CAN PICK UP AND DO.

14 CHAIRMAN LO: NO. NO. NO. I AGREE. I
15 THINK THE ISSUE IS NOT THAT AT THIS POINT WE WANT TO
16 PRESCRIBE WHAT SCREENING NEEDS TO BE DONE. ULTIMATELY
17 THAT'S GOING TO BE AN FDA ISSUE, AND IT WILL DEPEND A
18 LOT, I THINK, ON THE SPECIFICS OF THE TRANSPLANTATION
19 PROTOCOL. I GUESS THE QUESTION IS DO WE WANT AT THIS
20 STAGE TO AT LEAST ENCOURAGE THE INVESTIGATORS DERIVING
21 FETAL STEM CELL LINES TO THINK ABOUT THESE ISSUES AND
22 TO ASK THEMSELVES THE QUESTION. IF THEY'RE ONLY
23 DOING -- I THINK, AGAIN, THE CORD BLOOD ANALOGY IS
24 THERE'S A LOT OF WORK THAT CAN BE DONE THAT'S CLEARLY
25 NOT DIRECTED AT TRANSPLANTATION, BUT IS VERY USEFUL AND

1 THIS WOULD ALL BE IRRELEVANT.

2 AND I GUESS THE ISSUE FOR PEOPLE TRYING TO
3 DERIVE FETAL STEM CELL LINES IS WHETHER YOU WANT TO SAY
4 LET'S JUST GET THE LINE, SHOW WE CAN DERIVE IT, SHOW IT
5 THAT CAN DIFFERENTIATE, AND MAYBE DO SOME ANIMAL
6 RESEARCH. AND THEN IF WE'VE DEVELOPED THAT PROOF OF
7 PRINCIPLE, THEN WE HAVE TO GO BACK AND DERIVE A NEW
8 FETAL STEM CELL LINE THAT REALLY CAN BE USED FOR
9 TRANSPLANTATION, BUT ONLY ADDRESS THESE ISSUES AT THAT
10 POINT RATHER THAN TYING UP THE RESEARCH NOW.

11 SO I GUESS I'M NOT SURE WE'D WANT TO BE TOO
12 PRESCRIPTIVE NOW, BUT TO AT LEAST HAVE THE RESEARCHERS
13 THINK ABOUT IT. WE MAY END UP SAYING, WELL, IF ALL
14 WE'RE ASKING PEOPLE TO DO IS THINK ABOUT IT, THEN IS
15 THAT SOMETHING WE WANT TO DO IN REGULATION AS OPPOSED
16 TO, FOR INSTANCE, THROUGH GRANTS MANAGEMENT.

17 I TOTALLY AGREE WITH YOU, JOHN. IT WILL
18 REQUIRE PROBABLY CHANGES IN THE WAY THE TISSUE IS
19 DERIVED. JUST AS I THINK WHEN PEOPLE ARE DONATING
20 EMBRYOS NOW FOR FETAL TISSUE RESEARCH, THE TYPE OF
21 CONSENT PROCESS MAY WELL BE DIFFERENT THAN IT WOULD
22 HAVE BEEN BEFORE STEM CELL RESEARCH WAS CONTEMPLATED.

23 DR. PETERS: ARE YOU SAYING, BERNIE, THAT THE
24 CURRENT FRONTIER OF RESEARCH IS THAT WE'RE LIKELY TO BE
25 USING THIS ON ANIMAL MODELS? WE'RE REALLY NOT ON THE

1 BRINK OF HUMAN THERAPY OR THINGS LIKE THAT, SO WE DO
2 HAVE A LITTLE WINDOW OF TIME BEFORE WE HAVE TO CONFRONT
3 THAT. AND THAT WE MIGHT WANT TO BE MORE THOROUGH WHEN
4 WE GET TO THE USE OF HUMAN MODELS OR DEVELOPING
5 THERAPIES THAN WE ARE AT THIS CURRENT STAGE. AND,
6 THEREFORE, IT'S BEST TO DO NOTHING AT THIS PARTICULAR
7 POINT?

8 CHAIRMAN LO: I THINK THAT'S WHAT WE NEED TO
9 THINK ABOUT. THE FDA ACTUALLY HAS APPROVED A PHASE I
10 CLINICAL TRIAL WITH KIDS WITH BATTEN DISEASE USING
11 FETALLY DERIVED NEUROPROGENITOR CELLS. AND THAT'S
12 ACTUALLY BEING DONE AT ONE INSTITUTION AS A PHASE I
13 TRIAL. THIS HAS ALREADY GOTTEN TO THAT LEVEL OF A
14 PHASE I TRIAL.

15 MS. CHARO: GERON POTENTIALLY WILL BE
16 ANNOUNCING, THEY'RE ONE YEAR AWAY, BUT THEY MOST
17 RECENTLY ANNOUNCED THEY'RE ONE YEAR AWAY FROM A HUMAN
18 CLINICAL TRIAL FOR SPINAL CORD INJURY USING TISSUE
19 DERIVED FROM EMBRYONIC STEM CELLS.

20 CHAIRMAN LO: SO I GUESS AT THIS POINT,
21 FOLLOWING JOHN'S THOUGHT, I THINK WHAT WE NEED TO DO IS
22 LOOK AT THE LANGUAGE AND SAY IS THIS SOMETHING THAT
23 WE'RE JUST NOT READY TO DEAL WITH, THAT WE NEED A LOT
24 MORE INFORMATION ON BOTH CURRENT PRACTICE AND ON WHAT
25 SPECIFIC INFORMATION ONE MIGHT BE WANTING TO GATHER,

1 THAT IT REALLY REQUIRES A LOT MORE. MAYBE, ALTA, YOUR
2 COMMITTEE AT NAS MIGHT BE A BETTER PLACE TO DEAL WITH
3 THAT OR MAYBE THIS IS DOWN THE ROAD. SO I THINK WHEN
4 YOU SEE THE SECTION 100200, WHICH REALLY IS DEALING
5 WITH REPORTING REQUIREMENTS, I THINK IT IS WORTH,
6 PARTICULARLY JOHN AND ALTA, TO LOOK AT IT AND SAY IS
7 THIS REALLY CRAFTED TO DO SOMETHING THAT'S WORTH DOING
8 AS REGULATION AS OPPOSED TO TRYING TO DO IT IN SOME
9 OTHER WAY AS IN, FOR EXAMPLE, THE GRANTS PROCESS. OR
10 IS IT JUST THAT WE NEED TO STEP BACK AND SAY BEFORE WE
11 DO ANYTHING, WE NEED A LOT MORE INFORMATION ON THE
12 TOPIC.

13 WE'LL RELY ON YOU FOLKS FOR YOUR COMMENT. AS
14 GEOFF JUST SAID, IN ORDER TO KEEP UP WITH THE -- THESE
15 ARE INTERIM FETAL TISSUE -- 100200 IS A SEPARATE TIME
16 TRACK, RIGHT, SO IS THERE AS MUCH URGENCY ON THAT AS
17 THE FETAL TISSUE?

18 DR. LOMAX: WELL, IT'S URGENCY IN THE SENSE
19 THAT WE WANT BOTH TO BE CONSIDERED AT THE DECEMBER ICOC
20 MEETING. FOR THE PURPOSE OF THE FETAL TISSUE
21 REGULATION, WE'RE FINE BECAUSE NOW WE JUST -- WE'LL
22 TAKE THE SENSE OF THE COMMITTEE TO THE ICOC.

23 THE 100200 IS A BIT MORE COMPLICATED BECAUSE
24 WHAT WE WOULD LIKE TO DO IS ACTUALLY POST REVISED
25 LANGUAGE THIS WEEK. IT NEEDS TO GO THROUGH THAT 15

1 DAYS PUBLIC COMMENT, AND THEN WHAT WE'D BRING TO THE
2 ICOC WOULD BE REVISED LANGUAGE THAT HAS THE BENEFIT OF
3 PUBLIC COMMENT.

4 CHAIRMAN LO: SO WORST CASE, IF WE MISSED THE
5 DECEMBER 7TH ICOC MEETING, WHAT HAPPENS TO SECTION
6 100200?

7 DR. LOMAX: THEN WE JUST HAVE TO BRING IT
8 BACK TO THE ICOC IN FEBRUARY.

9 CHAIRMAN LO: IS THERE -- DO THINGS EXPIRE,
10 OR IS THERE A HORRENDOUS REGULATORY --

11 DR. LOMAX: TO THE BEST OF MY KNOWLEDGE, NO.
12 SCOTT STEPPED OUT OF THE ROOM. BUT IT'S NOT FATAL, BUT
13 I THINK WE DID WANT TO HAVE SOME MINIMAL LANGUAGE IN
14 THE REGULATIONS ABOUT REPORTING. AND I WOULD ENCOURAGE
15 US NOT TO -- I WOULD ENCOURAGE US ACTUALLY TO TAKE A
16 LOOK AT WHAT WE'VE GOT AND DECIDE ON SOMETHING MINIMAL.
17 WE CAN ALWAYS AMEND THE REGULATIONS AND ADD MORE LATER,
18 BUT WE DID HAVE -- IF YOU GO BACK TO THE PROCESS, THERE
19 WAS CONSIDERABLE PUBLIC COMMENT ABOUT -- THERE'S SOME
20 OTHER LANGUAGE IN THERE ABOUT TRACKING STEM CELLS AND
21 GAMETES AND PRODUCTS OF SCNT, WHICH, I THINK, BASED ON
22 THE PUBLIC COMMENT, WE SHOULD NOT OMIT THAT LANGUAGE
23 FOR TOO LONG.

24 CHAIRMAN LO: WE COULD JUST TAKE THIS SECTION
25 OUT --

1 DR. LOMAX: THAT'S RIGHT.

2 CHAIRMAN LO: -- FROM THE DECEMBER 7TH ICOC
3 PRESENTATION AND COME BACK TO IT LATER AFTER WE'VE GOT
4 THE REST OF 100200.

5 DR. LOMAX: THAT'S RIGHT. I WOULD ENCOURAGE
6 US AT LEAST TO CONSIDER -- AGAIN, THERE WAS SOME BASIC
7 TRACKING OF PRODUCTS OF SCNT DONATED EGGS, WHICH IS
8 ALSO IN THE EXISTING CALIFORNIA LAW THAT'S OUTSIDE CIRM
9 FUNDING. I WOULD SUGGEST, BASED ON THE PROCESS AND THE
10 PUBLIC COMMENT, THAT WE AT A MINIMUM HAVE SOME LANGUAGE
11 THERE. OTHERWISE, I THINK WE OPEN OURSELVES UP TO SOME
12 CRITICISM.

13 CHAIRMAN LO: I AGREE. THAT WE'RE SORT OF
14 BEING LAX IN SORT OF KEEPING TRACK OF WHAT MATERIAL IS
15 DONATED FOR RESEARCH, WHAT ACTUALLY HAPPENS TO IT.

16 DR. LOMAX: YES. SO THE BOTTOM LINE IS WE
17 CAN DROP STUFF NOW AND ADD MORE LATER, BUT WE SHOULD
18 PROBABLY HAVE SOMETHING IN PLACE.

19 CHAIRMAN LO: SO LET'S ASK YOU TO LOOK AT
20 SECTION 100200. FIRST, A LOT OF THINGS JUST WHICH ARE
21 TECHNICAL REVISIONS OF WHAT WAS THERE BEFORE FOR YOUR
22 APPROVAL, HOPEFULLY, WITH ONLY MINOR MODIFICATION.
23 THERE'S ONE THING WE'VE BEEN TALKING ABOUT, I GUESS THE
24 ISSUE IS DO WE WANT TO SEPARATE THAT FROM THE REST OF
25 100200 AND DEAL WITH THAT AT SOME LATER TIME.

1 DR. LOMAX: CORRECT.

2 CHAIRMAN LO: WE'LL DEPEND ON, I GUESS, JOHN
3 AND ALTA PARTICULARLY FOR THAT EXTRA SECTION ON THE
4 RESULTS OF SCREENING TESTS BEING KEPT AND ACCESSIBLE TO
5 CIRM AS NEEDED.

6 ANY OTHER ISSUES?

7 DR. LOMAX: THANKS, EVERYONE.

8 ONE THING I DID FAIL TO MENTION. THE EGG
9 DONOR CONFERENCE WENT EXTREMELY WELL. AND THERE IS AN
10 ARCHIVE ON THE WEB. SO IF FOLKS WOULD WANT LINKS TO
11 THAT, PLEASE LET ME KNOW. WE'RE EXPECTING A REPORT
12 EARLY PART OF NEXT YEAR, I BELIEVE, FROM THE IOM. SO
13 WE'RE LOOKING FORWARD TO THAT. AND OBVIOUSLY WHEN WE
14 HAVE THEIR FINAL REPORT, WE WILL CIRCULATE THAT TO THE
15 WORKING GROUP AS WELL.

16 MS. CHARO: ONE -- NEVER MIND. SORRY.

17 CHAIRMAN LO: WHY DON'T YOU SEND ONE TO
18 PEOPLE LIKE ROB AND ANN KIESSLING.

19 DR. LOMAX: I THINK I SENT THEM, BUT I'LL
20 RESEND THEM WITH THE E-MAIL WITH THE LANGUAGE AND JUST
21 SORT OF DO A GENERAL UPDATE.

22 CHAIRMAN LO: THANKS, EVERYBODY. WE WILL GET
23 THIS OUT TO YOU.

24 (THE MEETING WAS THEN ADJOURNED AT 11:29 AM.)

25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE SCIENTIFIC AND MEDICAL ACCOUNTABILITY STANDARDS WORKING GROUP OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

CIRM
210 KING STREET
SAN FRANCISCO, CALIFORNIA
ON
NOVEMBER 13, 2006

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152
BARRISTER'S REPORTING SERVICE
1072 S.E. BRISTOL STREET
SUITE 100
SANTA ANA HEIGHTS, CALIFORNIA
(714) 444-4100