

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

VOLUME I

LOCATION: PAUL BREST HALL
MUNGER COMPLEX
STANFORD UNIVERSITY
STANFORD, CALIFORNIA

DATE: DECEMBER 9, 2009
4:30 P.M.

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO.: 86112

BARRISTERS' REPORTING SERVICE

I N D E X

ITEM	DESCRIPTION	PAGE NO.
1.	CALL TO ORDER.	5, 102
2.	PLEDGE OF ALLEGIANCE.	6, 102
3.	ROLL CALL.	6, 102
REPORTS		
4.	CHAIRMAN'S REPORT.	
5.	PRESIDENT'S REPORT.	8
	CIRM BUDGET AND EXPENDITURE SUMMARY REPORT AS OF 10/31/09 CIRM FINAL BUDGET AND EXPENDITURE REPORT AS OF 6/30/09	
6.	FINANCIAL AUDIT REPORT FROM MACIAS, GINI AND O'CONNELL.	166
	FINANCIAL AUDIT REPORT AUDITOR'S REPORT TO THE ICOC	
	CONSENT CALENDAR	104
7.	CONSIDERATION OF MINUTES FROM OCTOBER 27-8, 2009 ICOC MEETING.	
8.	CONSIDERATION FOR APPROVAL AMENDMENTS TO CAL. CODE OF REGULATIONS SECTION 100070.	
	MEMO ON REGULATION 100070	

BARRISTERS' REPORTING SERVICE

	PAGE NO.
ACTION ITEMS	
9. CONSIDERATION OF NEW SCIENTIFIC MEMBERS OF GRANTS WORKING GROUP.	49, 105
10. CONSIDERATION OF AMENDMENTS TO GRANTS WORKING GROUP BYLAWS.	51, 106
11. CONSIDERATION OF APPOINTMENT OF ADMINISTRATIVE CHAIR OF GRANTS WORKING GROUP.	56, 107
12. CONSIDERATION OF AMENDMENT OF MOTION ADOPTED IN JANUARY 2009 NOT TO FUND TIER II APPLICATIONS FOR BRIDGES TO STEM CELL RESEARCH AWARDS AND FOR TRAINING GRANT II AWARDS; IF APPROVED, CONSIDERATION OF RECOMMENDATIONS FROM GRANTS WORKING GROUP REGARDING TIER II APPLICATIONS FOR BRIDGES TO STEM CELL RESEARCH AWARDS AND FOR TRAINING GRANT II AWARDS.	107
LIST OF TIER II APPLICATIONS FOR BRIDGES TO STEM CELL RESEARCH & TRAINING II	
CLOSED SESSION	
PUBLIC REPORT OF ANY ACTION TAKEN, IF NECESSARY, DURING CLOSED SESSION.	
ACTION ITEMS	
14. CONSIDERATION OF COMPENSATION OF STATUTORY VICE CHAIR.	194
15. CONSIDERATION OF RECOMMENDATION FOR CONTINUATION OF PRE-APPLICATION PROCESS.	207
REPORT ON PRE-APPLICATION PROCESS	
16. CONSIDERATION OF CONCEPT APPROVAL FOR EARLY TRANSLATIONAL AWARDS.	59, 241

BARRISTERS' REPORTING SERVICE

	PAGE NO.
17. CONSIDERATION OF APPROVAL OF DISEASE RESEARCH TEAM APPLICATION NO. DR1-01471, SUBJECT TO PRESIDENT'S DETERMINATION THAT THE APPLICATION, AS MODIFIED, CAN ACHIEVE THE AIMS OF THE ORIGINAL APPLICATION.	176
18. CONSIDERATION OF CREATION OF A BOARD SUBCOMMITTEE ON COMMUNICATIONS WITH THE CALIFORNIA PUBLIC AND A TASK FORCE OF THE SUBCOMMITTEE ON PUBLIC MEDIA. CONSIDERATION SHALL INCLUDE, WITHOUT LIMITATION, APPOINTMENT OF THE CHAIR AND VICE-CHAIR OF THE SUBCOMMITTEE AND THE TASK FORCE LEADERSHIP.	201
19. CONSIDERATION OF REQUEST TO PRESIDENT TO PRESENT RECOMMENDATION AT NEXT BOARD MEETING REGARDING MODIFICATION OF DISEASE RESEARCH TEAM AWARDS, GRANTS ADMINISTRATION POLICY, AND LOAN ADMINISTRATION POLICY TO PERMIT UNUTILIZED DISEASE RESEARCH TEAM AWARD FUNDS TO BE USED FOR PHASE 1 OR PHASE 2A OR 2B HUMAN CLINICAL TRIAL AFTER FDA APPROVAL.	183
20. CONSIDERATION OF CORRECTION TO GRANTS ADMINISTRATION POLICY REGARDING CONFLICT OF INTEREST APPEALS.	W/D
DISCUSSION ITEMS	
21. PUBLIC COMMENT.	90

BARRISTERS' REPORTING SERVICE

1 STANFORD, CALIFORNIA; WEDNESDAY, DECEMBER 9, 2009

2 4:30 P.M.

3
4 CHAIRMAN KLEIN: ALL RIGHT. WE'RE GOING
5 TO COMMENCE. WE HAVE A NUMBER OF ITEMS THAT WE CAN
6 PROCEED ON WHILE WE'RE WAITING FOR MEMBERS WHO ARE
7 EN ROUTE. THIS IS A WONDERFUL FACILITY. IT,
8 HOWEVER, PRESENTS CERTAIN INTELLECTUAL TESTS IN
9 LOCATING THE BUILDING. AND THE INTELLECTUAL TEST
10 CAN BE MORE OR LESS COMPLICATED BASED ON WHO YOU ASK
11 ON THE WAY FOR DIRECTIONS. SO KNOWING THAT MANY OF
12 OUR BOARD MEMBERS MAY HAVE TAKEN A WRONG TURN, WE
13 WANT TO FULLY UTILIZE THE TIME OF THOSE THAT ARE
14 HERE AND THE STAFF THAT IS HERE.

15 SO WE'D LIKE TO CALL THE MEETING TO ORDER.
16 AND, MELISSA, WOULD YOU PLEASE PROCEED BY PROVIDING
17 US WITH THE PLEDGE OF ALLEGIANCE AND THEN THE ROLL
18 CALL. AND I WOULD LIKE TO SAY AT SOME POINT DR.
19 FRIEDMAN MAY BE CONNECTED ON AN AUDIO LINE TO THIS.
20 THE PROCEEDINGS BOTH DAYS ARE BEING AUDIO CAST AND
21 MADE AVAILABLE BY THE INTERNET. SO WE HAVE A
22 SIGNIFICANT AUDIENCE THAT'S NOT PRESENT IN THE ROOM.
23 AND PLEASE REMEMBER, THEREFORE, TO USE YOUR
24 MICROPHONES IN THE PROCESS.

25 MS. KING: PLEASE STAND IF YOU ARE ABLE.

BARRISTERS' REPORTING SERVICE

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

(THE PLEDGE OF ALLEGIANCE.)

MS. KING: AND NOW, CHAIRMAN KLEIN, SHOULD
I CALL THE ROLL?

CHAIRMAN KLEIN: PLEASE.

MS. KING: DONALD DAFOE FOR RICARDO AZZIZ.

DR. DAFOE: HERE.

MS. KING: ROBERT PRICE FOR ROBERT
BIRGENEAU. FLOYD BLOOM. GORDON GILL FOR DAVID
BRENNER. WILLIAM BRODY.

DR. BRODY: HERE.

MS. KING: SUSAN BRYANT.

DR. BRYANT: HERE.

MS. KING: MARCY FEIT.

MS. FEIT: HERE.

MS. KING: MICHAEL FRIEDMAN. LEEZA
GIBBONS.

MS. GIBBONS: HERE.

MS. KING: MICHAEL GOLDBERG.

MR. GOLDBERG: HERE.

MS. KING: SAM HAWGOOD. BOB KLEIN.

CHAIRMAN KLEIN: HERE.

MS. KING: SHERRY LANSING. LEONARD ROME
FOR GERALD LEVEY.

DR. ROME: HERE.

MS. KING: TED LOVE. ED PENHOET.

BARRISTERS' REPORTING SERVICE

1 DR. PENHOET: HERE.

2 MS. KING: PHIL PIZZO. KEN BURTIS FOR
3 CLAIRE POMEROY.

4 DR. BURTIS: HERE.

5 MS. KING: FRANCISCO PRIETO. CARMEN
6 PULIAFITO. ROBERT QUINT. JOHN REED. DUANE ROTH.

7 MR. ROTH: HERE.

8 MS. KING: JOAN SAMUELSON. DAVID
9 SERRANO-SEWELL.

10 MR. SERRANO-SEWELL: HERE.

11 MS. KING: JEFF SHEEHY.

12 MR. SHEEHY: HERE.

13 MS. KING: JON SHESTACK. OSWALD STEWARD.

14 DR. STEWARD: HERE.

15 MS. KING: AND ART TORRES.

16 MR. TORRES: HERE.

17 CHAIRMAN KLEIN: THANK YOU. THANK YOU
18 VERY MUCH. LIKE TO ALSO IN OPENING THANK JENNA
19 PRYNE WHO'S STILL ACTIVELY WORKING ON FACILITATING
20 PEOPLE'S ATTENDANCE, MELISSA KING, NICK WARSHAW FOR
21 ALL OF THEIR EFFORTS IN PULLING TOGETHER THE MEETING
22 HERE AT STANFORD.

23 I'M GOING TO DEFER SOME OF MY COMMENTS.

24 MR. GOLDBERG: ON BEHALF OF PHIL PIZZO,
25 WHO WOULD BE OUR HOST, HE'S ASKED ME TO WELCOME

BARRISTERS' REPORTING SERVICE

1 EVERYONE ON BEHALF OF THE BOARD OF TRUSTEES OF
2 LELAND STANFORD, JR. UNIVERSITY.

3 CHAIRMAN KLEIN: AND THAT WAS A VOICE OF
4 AUTHORITY THERE OF A FATHER WITH A DAUGHTER AT THE
5 UNIVERSITY.

6 SO, DR. TROUNSON, COULD YOU BEGIN WITH
7 YOUR REPORT? AS USUAL, THERE ARE A NUMBER OF
8 EXTRAORDINARY SCIENTIFIC DEVELOPMENTS TO REPORT.

9 DR. TROUNSON: THANK YOU VERY MUCH, CHAIR.
10 I MUST ADMIT THIS, THE LAST MEETING OF THE YEAR, I
11 THINK IT'S WELCOME TO MANY OF US. IT'S BEEN ONE OF
12 THOSE YEARS, I THINK, A LOT OF US HAVE EARNED OUR
13 SALARIES AND PROBABLY A LITTLE MORE. IT'S BEEN A
14 GREAT YEAR, AND IT'S BEEN CHALLENGING. AND I
15 PRESUME IT'S GOING TO CONTINUE THAT WAY FOR ANOTHER
16 FEW YEARS.

17 SO IF I CAN HAVE THE FIRST SLIDE, PLEASE.
18 I ACTUALLY THOUGHT I'D START WITH THIS SLIDE BECAUSE
19 I NORMALLY END WITH THIS SLIDE, AND IT'S REALLY THE
20 STAFF OF THE INSTITUTE. AND I WANT TO THANK THEM,
21 YOU KNOW, WITH YOU BECAUSE THEY'VE JUST BEEN A
22 TREMENDOUS GROUP OF PEOPLE. AND EVERY ONE OF THEM
23 HAS PUT IN MORE YARDS THAN YOU'D EXPECT FROM
24 ANYBODY. AND IT'S VERY COMMON THAT BEYOND 11
25 O'CLOCK AT NIGHT AND THEY WERE UP. THIS MORNING, IT

BARRISTERS' REPORTING SERVICE

1 WAS TWO IN THE MORNING, THEY WERE STILL RESPONDING
2 TO E-MAILS FROM ME, SO YOU HAVE TO SAY THERE'S
3 SOMETHING VERY SPECIAL ABOUT THIS GROUP OF PEOPLE.
4 SO I'M VERY PROUD TO WORK WITH THEM, AND I'M SURE
5 THOSE THAT DO WORK DIRECTLY WITH THEM, YOU'LL FIND
6 THEM A GROUP OF PEOPLE THAT YOU ACTUALLY ENJOY THEIR
7 COMPANY AND YOU RESPECT THEIR PROFESSIONALISM.

8 (APPLAUSE.)

9 DR. TROUNSON: SO THE NEXT SLIDE, IF I
10 MAY. YOU WILL PROBABLY OR MIGHT HAVE SEEN THIS.
11 IT'S A PIE CHART OF THE DISEASE TEAMS AND EARLY
12 TRANSLATIONAL PROJECTS. AND, OF COURSE, THERE ARE
13 PEOPLE LIKE JEFF SHEEHY AND OTHERS WHO ARE ON THE
14 GRANTS WORKING GROUP WHO WILL PROBABLY RECOGNIZE
15 THIS, BUT WHAT I THINK IS VERY IMPORTANT HERE,
16 BEFORE I GIVE YOU SOME WHAT I THINK IS SOME OF THE
17 REALLY INTERESTING PIECES OF WORK THAT HAVE HAPPENED
18 THIS YEAR, IN THE GREEN YOU SEE, THESE ARE THE
19 PROJECTS THAT WE'RE SUPPORTING IN TRANSLATION AND IN
20 THE DISEASE TEAMS THAT ARE GENETICALLY MODIFIED CELL
21 THERAPY.

22 NOW, THAT MEANS WHAT WE'RE DOING IS A CELL
23 THERAPY. WE'VE ACTUALLY MODIFIED THE CELLS,
24 GENETICALLY MODIFIED THE CELLS. SO IN A SENSE IT IS
25 GENE THERAPY AS WELL AS CELL THERAPY. SO MORE THAN

BARRISTERS' REPORTING SERVICE

1 40 PERCENT OF OUR PRIMARY PROJECTS ARE IN THAT AREA.
2 YOU MIGHT NOT HAVE RECOGNIZED THIS, BUT I THINK IT'S
3 WORTH THINKING ABOUT THIS. THIS IS THE CUTTING EDGE
4 OF ACTUALLY WHERE WE ARE.

5 SO HAVING THAT PIECE OF INFORMATION ON THE
6 PORTFOLIO, IF I CAN GO TO THE NEXT SLIDE, I
7 WANTED -- THERE'S A VERY NICE PAPER THAT WAS
8 PUBLISHED IN *SCIENCE* FROM CARTIER AND COLLEAGUES AT
9 INSERM IN PARIS, PUBLISHED IN *SCIENCE*. AND IT
10 REALLY TALKS ABOUT THE POSSIBILITY OF USING
11 GENETICALLY MODIFIED CELL THERAPIES. AND THERE'S
12 NOT A LOT OF THEM THAT ARE REALLY IN CLINICAL USE.
13 BUT HERE IS AN EXAMPLE OF A VERY EFFECTIVE THERAPY.
14 AND IF YOU THINK THAT WE'RE ON THE EDGE GOING
15 FORWARD, WE'RE PROBABLY GOING TO GO SIMILARLY ON
16 THIS KIND OF EDGE.

17 SO THE DISEASE THAT THEY WERE WORKING WITH
18 IS X-LINKED ALD, ADRENOLEUKODYSTROPHY. IT'S A
19 SEVERE BRAIN DEMYELINATING DISEASE, SO THE LOSS OF
20 THE MYELIN SHEATH ON THE NEURONS IN BOYS. IT OCCURS
21 IN BOYS, IT'S X LINKED, DUE TO A DEFICIENCY IN THE
22 ALD PROTEIN. SO BY GENE THERAPY THEY EXTRACTED THE
23 CD 34 PLUS CELLS. THESE ARE THE HEMATOPOIETIC OR
24 BLOOD STEM CELLS. AND THEY CORRECTED THE PROBLEM,
25 THIS ABERRANT MUTATION, USING A LENTIVIRAL VECTOR

BARRISTERS' REPORTING SERVICE

1 BUT PUTTING THE CORRECT GENE IN. AND THEN THE
2 PATIENTS WERE GIVEN MYELOABLATIVE THERAPY TO MAKE
3 ROOM FOR THE NEW GENETICALLY MODIFIED CELLS AND THEN
4 REINFUSED WITH THE GENETICALLY MODIFIED CELLS. SO
5 THEY MADE SPACE FOR THESE CELLS.

6 COUPLE OF PATIENTS WERE FOLLOWED UP FOR 24
7 TO 30 MONTHS, SO IT'S TWO TO TWO AND A HALF YEARS.
8 AND THEY SHOWED THAT THEY GOT A POLYCLONAL
9 RECONSTITUTION SO THAT THE CELLS WHICH REALLY ARE
10 IMPORTANT IN THIS, UP TO ABOUT 14 PERCENT OF THE
11 GRANULOCYTES, THE MONOCYTES, AND THE T&B LYMPHOCYTES
12 EXPRESSED THE PROTEIN THAT WAS MISSING. SO THEY
13 WERE PROVIDING THE GENETICALLY MODIFIED PROTEIN
14 THAT'S NECESSARY.

15 WHAT THEY SAW WAS THE PROGRESSIVE CEREBRAL
16 DEMYELINATION STOPPED AT 14 TO 16 MONTHS AFTER
17 INFUSION OF THESE CELLS, AND THE PATIENTS STARTED TO
18 RECOVER. SO THIS IS A RATHER NICE DEMONSTRATION. I
19 THINK IN THE NEXT, IT JUST SHOWS YOU THE -- I DON'T
20 HAVE A POINTER HERE -- BUT IF YOU LOOK AT THESE
21 GRAPHICS, WHAT'S SHOWING IS THE PERCENTAGE OF
22 LYMPHOCYTES ON THE X AXIS, THE UP-GOING AXIS, AND
23 THE MONTHS OF GENE THERAPY. SO YOU CAN SEE THAT THE
24 CELLS ARE PUTTING OUT THE APPROPRIATE PRODUCT.

25 AND THEN IF YOU LOOK AT THE TYPE OF CELLS

BARRISTERS' REPORTING SERVICE

1 IN THIS PARTICULAR PATIENT, ONE, YOU CAN SEE THERE'S
2 SOME VARIATION; BUT, AGAIN, THE CELLS ARE PRODUCING
3 THE PROTEIN OVER THAT PERIOD. THIS LOOKS LIKE IN
4 THE TIMEFRAME THAT THEY LOOKED AT THE PATIENTS A
5 VERY SUCCESSFUL GENE MODIFICATION CELL THERAPY, ONE
6 OF THE ONES THAT WE'RE LOOKING FOR. THESE ARE THE
7 KIND OF THINGS THAT WE'RE GOING TO BE ASKING OUT OF
8 THE DISEASE TEAMS AND SOME OF THE TRANSLATION
9 PROJECTS TO PERFORM. AND I THINK IT'S A GOOD
10 EXAMPLE, A VERY NICE EXAMPLE, OF A STUDY WHICH HAS
11 DEMONSTRATED THE REAL USAGE.

12 YOU WILL PERHAPS REMEMBER THAT GENE
13 THERAPY WAS TRIED WITH VIRUSES ORIGINALLY SO THAT
14 THEY GAVE THE PATIENTS A VIRUS WHICH WOULD THEN
15 CONVERT, THAT WOULD TRANSFECT SOME OF THE CELLS AND
16 CONVERT THEM TO CELLS THAT PRODUCE THE PROTEIN, BUT
17 THEY GOT A LOT OF SIDE EFFECTS IN SOME OF THOSE
18 STUDIES, ENOUGH TO CRASH THOSE GENE THERAPY PROGRAMS
19 AND PUT GENE THERAPY REALLY BACK IN A VERY UNWELCOME
20 STATE, WHICH IT REALLY HASN'T ESSENTIALLY RECOVERED
21 FROM.

22 SO I THINK PEOPLE NOW THINK THAT THE USE
23 OF THE CELL THERAPY WITH THE GENETICALLY MODIFIED
24 MODIFICATION IS PROBABLY THE WAY TO GO. AND WE
25 PREDICTED THIS, YOU KNOW, SOME YEARS AGO, THAT THIS

BARRISTERS' REPORTING SERVICE

1 WOULD BE ONE OF THE BETTER WAYS OF DELIVERING A CELL
2 THERAPY. SO THERE ARE A LOT OF OPPORTUNITIES OUT
3 THERE FOR US TO DO THIS, AND I THINK WE'LL SEE A LOT
4 MORE PROJECTS COMING THROUGH IN THAT AREA.

5 SO THE NEXT STUDY I WANTED TO REPORT TO
6 YOU IS TO SHOW YOU THAT AN IPS-DERIVED CELL TYPE, SO
7 THIS IS THE INDUCED PLURIPOTENTIAL CELL TYPE, THEY
8 PRODUCE RETINAL PIGMENTED EPITHELIAL CELLS, AND THEN
9 THEY USE THESE CELLS WHEN THEY DIFFERENTIATED THEM
10 IN A MODEL OF RAT THAT LOSES SIGHT BECAUSE OF THE
11 DESTRUCTION OF THE RETINAL CELL LAYER. SO IT'S A
12 PARTICULAR RAT MODEL THAT'S USED PRETTY WIDELY FOR
13 THESE STUDIES.

14 AND THESE IPS CELLS, YOU CAN ACTUALLY SEE
15 THEM HERE IN THE GREEN. THESE WERE INTRODUCED AS A
16 SHEET OR A MONOLAYER UNDER THE RODS AND CONES. AND
17 THIS IS WHAT YOU WOULD DO IN THE HUMAN, AND YOU CAN
18 SEE THESE CELLS PARTICIPATING HERE. THIS IS THE
19 HUMAN CELLS IN THE GREEN AS THE MONOLAYER UNDERNEATH
20 THE RODS AND CONES AS PART OF THE WHOLE RETINAL
21 LAYER. HERE THIS IS IN CARTOON FORM SHOWING YOU
22 THESE ARE THE PIGMENTED EPITHELIAL CELLS THAT SIT
23 HERE. AND THESE RATS CAN ACTUALLY SEE COLOR, THEY
24 CAN SEE LIGHT, AND THEY CAN SEE STRUCTURE.

25 SO, AGAIN, THIS IS WORK FROM A MAJOR GROUP

BARRISTERS' REPORTING SERVICE

1 WHICH INCLUDES DENNIS CLEGG'S LABORATORY AT
2 UNIVERSITY OF CALIFORNIA SANTA BARBARA AND PETE
3 COFFEY'S LAB AT THE UNIVERSITY COLLEGE LONDON, AND
4 THEY'RE BOTH INVOLVED IN THE HUMAN STUDIES THAT
5 WE'VE TAKEN UP IN THE DISEASE TEAMS. I HAVEN'T SORT
6 OF STUDIED THAT PROJECT BECAUSE I'VE BEEN IN
7 CONFLICT WITH IT, BUT IT LOOKS LIKE A REALLY
8 TERRIFIC TEAM. THEY'VE SHOWN THAT THIS WORKS RATHER
9 WELL WITH IPS CELLS, SO WE MIGHT IMAGINE THAT NOT
10 ONLY EMBRYONIC STEM CELLS, BUT IPS CELLS MIGHT
11 TRAVERSE INTO THE CLINIC IN THIS WAY.

12 THE NEXT STUDY IS, AGAIN, FROM A GROUP AT
13 INSERM IN FRANCE, AND I CHOSE TO SHOW YOU THIS
14 BECAUSE YOU REMEMBER IN ONE OF OUR DISEASE TEAM
15 PROJECTS, WE ARE GOING TO CONVERT IPS CELLS INTO
16 KERATINOCYTES OR CELLS THAT MAKE UP NEW SKIN ON
17 PATIENTS WHO'VE GOT A VERY SEVERE GENETIC DISEASE,
18 EPIDERMOLYSIS BULLOSA. AND IN THAT DISEASE THERE'S
19 A DEFECT IN COLLAGEN 7, WHICH MEANS THEIR SKIN LIFTS
20 OFF. SO THIS IS ONE OF THE SORT OF DISEASES THAT
21 THE NURSING STAFF AND EVERYBODY HATE TO SORT OF WORK
22 WITH BECAUSE IT'S VERY DIFFICULT TO LOOK AFTER THESE
23 KIDS. IT'S LIKE THEY GOT SEVERE BURNS ON THEM ALL
24 THE TIME, AND THERE'S NO REAL TREATMENT FOR IT.

25 SO WE ACTUALLY APPROVED A PROJECT OF IPS

BARRISTERS' REPORTING SERVICE

1 CELLS TO TREAT THAT PARTICULAR CONDITION. AND I
2 WANTED TO SHOW YOU WHAT I THOUGHT WAS SOME REALLY
3 BEAUTIFUL STUDY FROM THIS GROUP IN FRANCE, WHICH
4 SHOWED HUMAN EMBRYONIC STEM CELLS CAN ACTUALLY FORM
5 A FULL RECONSTRUCTION OF THE EPIDERMIS. SO THIS IS
6 THE NORMAL EPIDERMIS HERE, AND THIS IS THE
7 RECONSTRUCTED EPIDERMIS. AND IF YOU LOOK AT THE
8 MARKERS THERE, YOU CAN SEE THAT ALL THE RIGHT
9 MARKERS ARE PRESENT. AND IF YOU LOOK AT THIS, THIS
10 IS HEMOTOXYLIN AND EOSIN STAIN, AND IT LOOKS LIKE
11 REAL SKIN, THE WHOLE FULL DERMIS OF SKIN. THESE ARE
12 THE BROWN CELLS OR THE HUMAN CELLS THAT ARE PRESENT.
13 THIS IS TRANSPLANTATION OF HUMAN EMBRYONIC-DERIVED
14 CELLS TO THE EPIDERMIS OF XENOGRAPHS ON SCID MICE,
15 AND THIS KI 67 ARE CELLS WHICH ARE REPLICATING.

16 THIS LOOKS LIKE THE KIND OF THING THAT
17 THAT PROJECT AT STANFORD WILL NEED TO DO FOR THOSE
18 CHILDREN. BUT THIS METHODOLOGY LOOKS LIKE ROBUST
19 METHODOLOGY. SO IT'S A GOOD PLANK, AGAIN, HOPEFULLY
20 FOR THE IPS CELL PROJECT FOR THOSE KIDS TO GO
21 FORWARD ON.

22 SO I ACTUALLY -- THERE WERE SOME NOTES --
23 IT'S HARD TO GET A PAPER IN THE JOURNAL *LANCET*.
24 IT'S ONE OF THOSE PRIMARY JOURNALS. AND THE
25 COMMENTS OF THIS WORK WERE REALLY VERY, VERY

BARRISTERS' REPORTING SERVICE

1 POSITIVE. TERRIFIC WORK SHOWING REALLY THE FRONT
2 LINE OF HOW TO DO IT. AND NOT GOING INTO ANY OF THE
3 DETAIL, IT'S NOT A VERY COMPLICATED PROCEDURE THAT
4 WORKS THERE. IT REALLY UTILIZES THE GROWTH FACTOR
5 BMP 4 AND ASCORBIC ACID TO DO IT, SO IT'S NOT OVERLY
6 COMPLICATED. SO A VERY NICE PIECE OF WORK.

7 THE LAST ONE I WANTED TO TALK TO YOU ABOUT
8 WAS THERE'S BEEN SOME DISCUSSION ABOUT WHAT WOULD
9 HAPPEN TO EMBRYONIC AND IPS-DERIVED CELLS WHEN THEY
10 WERE PUT INTO PATIENTS WHERE YOU'VE STILL GOT AN
11 ISCHEMIC ENVIRONMENT; THAT IS, WITH PATIENTS WHO
12 HAVE STROKE, YOU'VE GOT ISCHEMIA. AND THAT IS AN
13 ENVIRONMENT WHICH IS DIFFERENT TO THE NORMAL BRAIN.
14 YOU'VE GOT REALLY A MAJOR INFLAMMATION GOING ON
15 THERE DUE TO THE DAMAGE CAUSED BY STROKE.

16 SO THEY SHOWED IN THESE STUDIES IN THE
17 JOURNAL *STROKE*. AGAIN, IT WAS JUST BY CHANCE, THE
18 STUDY FROM INSERM IN FRANCE. THEY TRANSPLANTED VERY
19 IMMATURE NEURAL PROGENITORS AND FOUND THAT THEY
20 INFLUENCED BY GRAFT SURVIVAL AND THE OCCURRENCE OF
21 TERATOMA FORMATION. SO IF YOU TRANSPLANTED THE
22 EMBRYONIC STEM CELLS VERY EARLY IN THE
23 DIFFERENTIATION, YOU HAD BOTH AN EFFECT ON WHETHER
24 THE GRAFTS SURVIVE, BUT YOU ALSO GOT THE APPEARANCE
25 OF A TERATOMA. BUT BOTH EFFECTS WERE LOST IF YOU

BARRISTERS' REPORTING SERVICE

1 DIFFERENTIATED THE CELLS FARTHER DOWN THE TRACK.
2 AND WHAT YOU COULD GET IF YOU DIFFERENTIATED THEM
3 INTO THE LATE CELLS, YOU COULD GET VERY HIGHLY
4 PROLIFERATIVE GRAFTS OCCURRING LATER, BUT NO
5 TERATOMA FORMATION, BUT YOU DID SOME INSTANCES GET
6 AN OVERGROWTH OF NEURAL TISSUE WHEN YOU DID THE
7 TRANSPLANTATION.

8 SO WHAT THEY'RE SAYING HERE IS IF YOU
9 DIFFERENTIATE THEM FAR ENOUGH, YOU WILL NOT GET EVEN
10 IN AN ISCHEMIC BRAIN, IN AN ANIMAL BRAIN, YOU WON'T
11 GET TERATOMAS FORMED. BUT WHAT YOU NEED TO BE
12 CAREFUL OF, IF IT'S A VERY RAPIDLY GROWING CELL LINE
13 IN THE CULTURE DISH, DON'T USE THAT OR MODIFY, BRING
14 THE CELL NUMBER DOWN BECAUSE THERE'S A RISK OF
15 OVERGROWTH IF YOU PUT TOO MANY CELLS IN. SO THE
16 BALANCING OF ALL OF THESE THINGS ARE GOING TO BE
17 VERY IMPORTANT. AND CLEARLY, AS WE MOVE THROUGH THE
18 TRANSLATIONAL PIPELINE, ALL OF THESE THINGS ARE
19 GOING TO BECOME EXTREMELY IMPORTANT.

20 THE NEXT SLIDE. MY PRIORITIES, MOVING OUT
21 OF THE RESEARCH AREAS, MY PRIORITIES AT THE PRESENT
22 TIME HAVE REALLY BEEN WITH THE DISEASE TEAMS AND
23 MEDIA AND COLLABORATIVE ISSUES THAT HAVE BEEN RISING
24 OUT OF THIS. THERE'S BEEN A LOT OF WORK ON THE
25 DISEASE TEAMS THAT WE BROUGHT FORWARD. PROVIDING A

BARRISTERS' REPORTING SERVICE

1 LOT OF UPDATE FOR THE MEDIA ON THOSE PROJECTS.

2 WE'RE INVOLVED IN A VP R & D SEARCH, VICE
3 PRESIDENT R & D, AND I'LL REPORT SOME PROGRESS ON
4 THAT FOR YOU. WE'VE BEEN WORKING ON DIVERSITY
5 ISSUES THAT IMPACT IN REGENERATIVE MEDICINE. WE'LL
6 REPORT BRIEFLY ON THAT.

7 THE IMMUNOLOGY RFA, WHICH HAS JUST GONE
8 OUT, IS TERRIBLY IMPORTANT. IT'S LOOKING AT
9 TOLERANCE. WE NEED A MORE TOLERANT ENVIRONMENT FOR
10 ALLOGENEIC CELLS. IF THEY'RE NOT YOUR OWN CELLS,
11 THE BODY WILL GENERALLY HAVE AN IMMUNE REACTION, AND
12 THE CELLS WILL BE CHALLENGED, GENERALLY CHALLENGED.
13 SO IT'S IMPORTANT THAT WE GET MORE WORK DONE ON
14 ACHIEVING THERAPIES THAT WOULD ALLOW FOR TOLERANT
15 TRANSPLANTATION. AND SO WE'VE BEEN OUT THERE TRYING
16 TO GET MORE SCIENTISTS TO COME IN FROM THE
17 TRANSPLANTATION AND IMMUNOLOGY AREA, GET INTERESTED
18 IN THIS PARTICULAR RFA.

19 THERE ARE LOANS AND COMPANY ISSUES. WE
20 HAD A MEETING JUST BEFORE THIS MEETING ON THAT, AND
21 IT'S CHALLENGING AGAIN, BUT I THINK WE'RE MAKING
22 PROGRESS. BUT IT'S NOT THE SIMPLEST MATTER, AND
23 IT'S CLEAR THAT WE'VE STILL GOT WORK TO DO, BUT
24 WE'RE GETTING THERE.

25 THE FDA CONSORTIUM, WE'LL REPORT BRIEFLY

BARRISTERS' REPORTING SERVICE

1 ON THAT TO YOU. IT'S, AGAIN, A VERY POSITIVE
2 MEETING THAT WE HAD WITH THE FDA, AND WE WILL BE
3 FOLLOWING THOSE UP REGULARLY.

4 WE'VE GOT INTERNATIONAL AGREEMENTS AND
5 PROJECT MONITORING WHICH WE'RE STARTING. SO THERE'S
6 STARTING TO BECOME ISSUES NOW, WORK FOR US IN THAT
7 INTERNATIONAL AREA.

8 THE CIRM RESEARCH LEADERSHIP AWARDS,
9 THERE'S A LOT OF INTEREST FROM UNIVERSITIES
10 THROUGHOUT CALIFORNIA IN THAT LEADERSHIP PROGRAM, SO
11 WE'RE TAKING A LOT OF CALLS ON THAT. DEVELOPING
12 NETWORKS IN THE U.S. AS WE DO ALL THE TIME WITH BOTH
13 SCIENCE AND INDUSTRY. CONTINUING DIALOGUE WITH THE
14 MAJOR PHARMACEUTICAL COMPANIES WHO ARE VERY
15 INTERESTED IN BECOMING PARTNERS WITH US, AND NOW
16 WE'RE TRYING TO SORT OF FIGURE OUT HOW TO CONNECT
17 THEM WITH WHAT WE'RE DOING. AND WE'LL BRING SOME
18 PROPOSALS FORWARD TO YOU IN THE NEW YEAR.

19 LOOKING AT CIRM AND ECONOMIC STIMULUS
20 ISSUES BECAUSE WE'RE STARTING TO GATHER SOME
21 INFORMATION. AND, OF COURSE, WE'RE ALSO WORKING ON
22 SOME OF OUR MEETINGS, OUR OWN MEETING AND ISSCR
23 MEETING IN 2010, SO THERE'S A LOT OF WORK CURRENTLY
24 GOING ON.

25 IF YOU LOOK AT THE FDA REGENERATIVE

BARRISTERS' REPORTING SERVICE

1 MEDICINE CONSORTIUM, I THINK THIS IS A VERY
2 IMPORTANT PROGRAM. AND DUANE AND TED LOVE WERE WITH
3 US IN THE BEGINNING WITH BOB KLEIN GOING TO THE FDA,
4 CULTIVATING THEM, GETTING THEM TO SORT OF START TO
5 WORK WITH US. THE CONSORTIUM THAT WE HAVE IS A
6 GROUP OF SCIENTISTS AND PARTICULARLY SCIENTISTS AND
7 PROJECT MANAGERS FROM COMPANIES AND INSTITUTES
8 THROUGHOUT CALIFORNIA, BUT ALSO THROUGHOUT THE
9 U.S. THROUGH SOME OF THE NATIONAL COMPANIES AND
10 INSTITUTES. WE HAD 18 ATTENDEES FROM THE FDA, WHICH
11 IS A BIG ATTENDANCE TO A WHOLE THREE-, FOUR-HOUR
12 MEETING. AND THE ACTING DIRECTOR, DR. MIDTHUN, WAS
13 THERE, AS WAS DR. CELIA WITTEN, FOR THE WHOLE TIME,
14 WHO'S THE DIRECTOR OF THE OFFICE OF CELL AND TISSUE
15 AND GENE THERAPY, WHICH IS UNUSUAL TO GET THESE
16 PEOPLE TO SORT OF COME AND LISTEN AND START TO WORK
17 WITH US.

18 THE REGENERATIVE MEDICINE CONSORTIUM HAD
19 26 PEOPLE THAT INCLUDED MEMBERS OF CIRM, AND THEY'RE
20 ALL ESSENTIALLY FROM THE SCIENCE SECTORS. WE'RE
21 TALKING ABOUT HOW TO DO THE PROGRAM, WHAT WERE THE
22 PRIORITIES, WHERE COULD WE FOCUS OUR ATTENTION, HOW
23 CAN WE HELP ONE ANOTHER START TO UNDERSTAND THIS
24 PATHWAY AND THE PRIORITIES OF TAKING THE CLINICAL
25 WORK THROUGH THE TRANSLATIONAL PATHWAY. IT WAS VERY

BARRISTERS' REPORTING SERVICE

1 PRODUCTIVE.

2 THE FDA PRESENTED ON PRECLINICAL STUDIES,
3 CHEMISTRY, MANUFACTURING, AND CONTROLS, VERY
4 INTERESTING AND IMPORTANT MATTERS. A BROAD
5 LANDSCAPE OF ISSUES WERE HIGHLIGHTED. IN THE
6 REGULATORY SCIENCE AREA, THE AREAS TO ADDRESS WERE
7 ASSAYS FOR DETECTING, CHARACTERIZING
8 UNDIFFERENTIATED CELLS, BIOCHEMICAL AND IMAGING
9 BIOMARKERS THAT MAY BE PREDICTIVE, PREDICTIVE FOR
10 BENEFIT, AND SURROGATES FOR EARLY CLINICAL TRIALS.
11 SO CAN WE SEE IN A DISH SOMETHING THAT WE'RE GOING
12 TO SEE IN THE ACTUAL CLINICAL TRIALS.

13 SO IN THE NEXT STEP, WE'RE SETTING A
14 STEERING COMMITTEE WITH CIRM TO CHAIR AND ADMINISTER
15 THAT. IDENTIFY THE TOP AREAS OF FOCUS FOR WEBINARS.
16 THEY'VE OFFERED WEBINARS, REGULAR WEBINARS, SO THE
17 FDA WILL DO THAT FOR US. FUTURE FDA ROUNDTABLES ON
18 A QUARTERLY BASIS IF THAT'S WHAT THE WHOLE INDUSTRY
19 WOULD LIKE. AND THE DEVELOPMENT OF WHITE PAPERS
20 FROM OUR SIDE AND THEIR COMMENTS FROM THEIR SIDE.
21 SO A GENUINE LINKAGE OF INTEREST HERE.

22 WE WANT TO ESTABLISH AN INFORMATION
23 REPOSITORY ON THE CIRM'S WEB SITE FOR KEY GUIDANCE
24 AND DOCUMENTS AND WHITE PAPERS FOR THE POSSIBILITY
25 FOR SHARING AND POSTING PROTOCOLS, NEGATIVE DATA,

BARRISTERS' REPORTING SERVICE

1 BEST PRACTICE, ETC., TRY AND SAVE SOME EFFORT IN
2 THIS PIPELINE FOR EVERYONE WHO'S GOING THROUGH IT.
3 SO WE HOPE THIS IS GOING TO BE A PRODUCTIVE PROCESS,
4 AND ALL INDICATIONS ARE CURRENTLY THAT IT IS.

5 I WANTED TO SORT OF DRAW YOUR ATTENTION TO
6 A FEW THINGS HERE. I HAD THE STAFF LOOK AT STEM
7 CELL PUBLICATIONS IN 2004, WHICH IS SHOWN IN THE
8 PURPLE OR BLUE THERE, 2006 IN THE ORANGE, AND 2009
9 IN THE GREEN. AND THESE ARE STEM CELL PUBLICATIONS.
10 AND I WANTED TO LOOK AT COUNTRIES TO SEE WHERE THE
11 MAJOR ACTIVITY IS. WE'VE GOT U.S.A. ON THE BOTTOM
12 BECAUSE IT'S SO BIG COMPARED TO EVERYWHERE ELSE. IT
13 DWARFS MOST OTHER THINGS.

14 I THINK YOU'LL BE INTERESTED IN THE RAPID
15 PROGRESS OF COUNTRIES LIKE CHINA SHOOTING UP.
16 THERE'S A TREMENDOUS DEVELOPMENT IN THERE. GERMANY,
17 ITALY, AND THIS IS GENERALLY IN ADULT STEM CELLS IN
18 ITALY, NOT IN EMBRYONIC STEM CELLS, JAPAN, THE
19 UNITED KINGDOM, AND SOUTH KOREA, AND CANADA. YOU
20 WILL SEE THAT WE'RE LINKED WITH MANY OF THESE
21 COUNTRIES, AND WE'VE ACTUALLY, I THINK, CHOSEN
22 WISELY TO LINK WITH THESE REALLY PRODUCTIVE
23 COUNTRIES THAT ARE ON A BOOM TRACK.

24 IF YOU LOOK AT THE NEXT ONE, WHICH IS
25 EMBRYONIC STEM CELLS, YOU WILL SEE A SIMILAR TYPE OF

BARRISTERS' REPORTING SERVICE

1 DEVELOPMENT. IF YOU LOOK AT CHINA, SEE THEM
2 SHOOTING UP THERE WITH EMBRYONIC STEM CELLS ALONG
3 WITH GERMANY. I WOULDN'T HAVE PREDICTED THAT. I
4 WOULD HAVE THOUGHT THAT THEY WERE INHIBITED IN
5 EMBRYONIC STEM CELLS. NOT SO FROM THE LITERATURE.
6 JAPAN, VERY STRONG IN EMBRYONIC STEM CELLS. YOU
7 MIGHT THINK IT'S ALL IPS CELLS IN JAPAN. IT'S NOT
8 SO. AND THE UNITED KINGDOM, AGAIN, VERY STRONG.

9 SO WE'VE TAKEN A LOOK AT THIS TO JUST SEE
10 WHERE WE ARE WITH RESPECT TO SOME OF THE SORT OF
11 RAPIDLY MOVING SCIENTISTS AND RAPIDLY MOVING AREAS.
12 SOME OF THIS IS A PROCESS OF THE FUNDING THAT'S
13 TURNING UP.

14 CHAIRMAN KLEIN: ALAN, IF YOU WILL GO BACK
15 ONE SLIDE, I THINK IT'S IMPORTANT FOR THE BOARD, IN
16 TERMS OF BENCHMARKING THESE NUMBERS, TO REALIZE THAT
17 I JUST HEARD FROM DON THAT WE JUST PASSED 400
18 SCIENTIFIC PAPERS. SO IN THE LAST TWO YEARS, WE'VE
19 FUNDED 400 PAPERS. AND IF YOU LOOK AT HOW THAT
20 COMPARES TO THE COUNTRIES ACROSS THERE, IT GIVES YOU
21 A SENSE OF HOW IMPORTANT THE AGENCY IS IN MOVING
22 THIS WHOLE FIELD FORWARD.

23 DR. TROUNSON: THAT'S CERTAINLY TRUE.
24 WE'RE A BIG COMPONENT PART OF THE USA, AND WE'VE
25 LOOKED AT THAT IN THE PAST, BUT I WANTED TO GIVE YOU

BARRISTERS' REPORTING SERVICE

1 A FLAVOR FOR WHAT WAS HAPPENING OUTSIDE BECAUSE
2 SOMETIMES YOU HAVE -- IT'S EASY TO GET THE WRONG
3 IDEA BECAUSE SOME PLACES ARE VERY GOOD AT CONNECTING
4 WITH YOU; WHEREAS, YOU MIGHT LOOK AT ISRAEL, AND
5 THEY'RE SORT OF KIND OF STATIC AT THE MOMENT, WHICH
6 I THINK MIGHT BE A REFLECTION OF THE FUNDING
7 PROBLEMS THAT THEY'VE GOT AT THE PRESENT TIME THERE,
8 BUT IT'S PRETTY STATIC COMPARED TO WHAT'S HAPPENING
9 IN SOME OTHER PLACES. SO IT'S JUST USEFUL TO
10 REFLECT ON IT.

11 IF WE CAN GO TO THIS ONE, HERE YOU WILL
12 SEE THE USA REALLY FLYING AGAIN ON IPS CELLS. YOU
13 DO SEE THE USA LEADING OUT WITH JAPAN, CHINA,
14 GERMANY, SPAIN, AND THE UK BEING STRONG. MY OLD
15 COLLEAGUES IN AUSTRALIA SEEMED TO HAVE MISSED THE
16 BUS COMPLETELY. BUT I THINK THIS IS ALSO PART OF
17 THE LEADING EDGE BECAUSE, YOU KNOW, THE INNOVATIVE
18 SCIENTISTS WILL MAKE THE MOVE TO THESE NEW AREAS.
19 AND CLEARLY THE U.S. HAS GONE OUT VERY STRONGLY AS
20 YOU WOULD EXPECT JAPAN TO DO. WE'RE CERTAINLY --
21 WE'RE REALLY SORT OF RACING AWAY THERE. IF YOU LOOK
22 AT OUR PORTFOLIO, WE'RE VERY STRONG IN IPS CELLS.
23 CLEARLY WE'VE COME ALONG WITH THE INNOVATION TRACK,
24 PICKED THESE PROJECTS UP, AND ARE TAKING THEM
25 FORWARD MOSTLY IN THE BASIC SCIENCE AREA. I THOUGHT

BARRISTERS' REPORTING SERVICE

1 THAT WAS JUST INTERESTING FOR YOU. NEXT.

2 WE'RE GOING TO HAVE SOME STRUCTURAL NEEDS.

3 WE HAVE AN OUTSIDE PANEL REVIEW LATE IN 2010.

4 THAT'S SCHEDULED, SO WE'RE GOING TO HAVE AN EXTERNAL

5 PANEL. I'M GOING TO BRING YOU SOME SUGGESTIONS,

6 WE'LL BRING THAT FORWARD. WE'RE HAVING SOME

7 SUGGESTIONS ABOUT THE NATURE OF THAT PANEL. SOME OF

8 IT'S SET OUT IN THE STRATEGIC PLAN, BUT THERE'S A

9 REVIEW FOR 2000 -- LATER IN 2010. AND WE'LL GET

10 YOUR INPUTS INTO THAT. THAT'S REALLY IMPORTANT.

11 BUT SOME OF THE THINGS THAT THEY WILL ASK

12 ABOUT WE KNOW ABOUT, YOU WILL BE AWARE ABOUT, IS

13 WITH BOB KLEIN GOING TO STEP DOWN AT THE END, THINGS

14 ARE GOING TO CHANGE FOR US. THEY'RE REALLY

15 CLEARLY -- NO ONE HAS CLONED BOB KLEIN ESSENTIALLY.

16 NO ONE SHOULD OR MAYBE HE WOULD LIKE TO, BUT, NO, HE

17 SHOULDN'T DO THAT. BUT THERE'S NOT SOMEBODY LIKE

18 BOB WHO'S LIKELY TO STEP INTO THAT POSITION. IT'S

19 JUST UNLIKELY. WE NEED FINANCIAL EXPERTISE IN BOND

20 FINANCING TO ACCOMMODATE FOR THE DEPARTURE OF THE

21 CHAIR. WE NEED ADDITIONAL STAFF TO SUPPORT THE VICE

22 PRESIDENT OF R & D FOR MONITORING THE TRANSLATION OF

23 PRECLINICAL AND CLINICAL GRANTS THAT ARE COMING

24 FORWARD. THIS IS CLEARLY A HUGE AMOUNT OF WORK IN

25 THAT AREA, AND WE NEED TO GIVE THAT PERSON SOME

BARRISTERS' REPORTING SERVICE

1 SUPPORT. WE RECOGNIZE THAT.
2 THERE'S THE FDA, THE NIH, AND
3 INTERNATIONAL COLLABORATIONS AND CONNECTIONS TO
4 INDUSTRY TO TAKE CARE OF. WE NEED ADDITIONAL
5 SCIENCE OFFICER APPOINTMENTS TO ADDRESS THE
6 INCREASING LOAD FROM THE RFA'S AND THE PROJECTS THAT
7 WE'VE GOT AND THE MONITORING AND THE REPORTS THAT
8 WE'RE DOING. WE JUST NEED MORE PEOPLE ON THE
9 GROUND. SO I THINK WE'RE GOING TO NEED TO INCREASE
10 THE INSTITUTE STAFF NUMBERS MAYBE BY FIVE OR TEN
11 ABOVE 50, AND I THINK WE'VE GOT TO GET TO GRIPS WITH
12 THIS. WANT TO STAY WITHIN THE 6-PERCENT LIMIT, AND
13 I'M WORKING WITH THE CHAIR TO SORT OF LOOK AT HOW WE
14 COMPOSE THIS. IT'S GOING TO BE VERY IMPORTANT FOR
15 US BECAUSE I DON'T WANT TO GET TO A SITUATION WHERE
16 WE CAN'T DO THE JOB PROPERLY IN SOME OF THESE AREAS.
17 AND I DON'T THINK YOU WANT US TO SAY, WELL, WE JUST
18 DON'T HAVE ENOUGH CAPACITY. SO WE'RE GOING TO HAVE
19 TO CREATE SOME SOLUTIONS, AND I WANT TO WORK WITH
20 MEMBERS OF THE BOARD AND PARTICULARLY WITH THE CHAIR
21 IN GETTING SOLUTIONS TO THOSE ISSUES.

22 THE UPCOMING GRANT REVIEWS, WE HAVE A
23 BASIC BIOLOGY II. WE'VE HAD INVITED APPLICATIONS.
24 WE'VE INVITED APPLICATIONS FROM 57 GROUPS. THE
25 APPLICATION DEADLINE IS DECEMBER THE 8TH, AND THE

BARRISTERS' REPORTING SERVICE

1 GRANTS WORKING GROUP REVIEW IS IN FEBRUARY.

2 IN UPCOMING RFA'S, TO REMIND YOU, THERE'S
3 A STEM CELL TRANSPLANTATION AND IMMUNOLOGY, AND THIS
4 IS A LOT TO DO WITH THE INDUCTION OF TOLERANCE. THE
5 APPLICATION DEADLINE IS IN JANUARY. THE REVIEWS
6 WILL BE IN APRIL, AND WE EXPECT TO BRING IT TO THE
7 ICOC IN JUNE 2010. WE HOPE -- WE'VE REALLY GOT TO
8 MAKE SOME PROGRESS IN THAT AREA. IT'S VERY
9 FUNDAMENTALLY IMPORTANT TO US TO DO THAT.

10 THE RESEARCH LEADERSHIP AWARDS HAVE BEEN
11 POSTED JUST A DAY AGO, I THINK ONE DAY AGO. AND SO
12 WE'LL EXPECT THE FIRST APPLICATION DEADLINE TO BE IN
13 FEBRUARY 2010. SO I'M SURE THE INSTITUTES ARE
14 INTERESTED AND ACTIVE ALREADY.

15 EARLY TRANSLATIONAL II, SO THAT'S OUR
16 SECOND TRANSLATIONAL II PROJECT. THE CONCEPT
17 CLEARANCE IS FOR THIS MEETING HERE, AND WE HOPE TO
18 POST THAT RFA IN FEBRUARY. AND TOOLS, TECHNOLOGY,
19 AND BOTTLENECKS, SO THIS IS THE PROJECT WHICH WILL
20 ATTRACT, I THINK, QUITE A LOT OF THE BIOTECH
21 COMPANIES. CONCEPT CLEARANCE IS SCHEDULED FOR
22 FEBRUARY 2010, FEBRUARY NEXT YEAR. OKAY.

23 WE'VE GOT SOME WORKSHOPS. THERE'S ONE
24 ENHANCING DIVERSITY ON FEBRUARY THE 25TH. THE GOAL
25 IS TO IDENTIFY HOW CIRM CAN ENHANCE DIVERSITY IN THE

BARRISTERS' REPORTING SERVICE

1 FIELD OF REGENERATIVE MEDICINE. IT WILL BE LOCATED,
2 THE WORKSHOP, AT CHARLES DREW UNIVERSITY, BUT WILL
3 INCLUDE MERCED, RIVERSIDE, THOSE KIND OF
4 UNIVERSITIES, THOSE PLACES WHERE DIVERSITY IS A VERY
5 IMPORTANT ISSUE. WE'RE BRINGING THEM TOGETHER. THE
6 TARGET AUDIENCE FOR US IS TO GAIN A BETTER
7 UNDERSTANDING HOW DIVERSITY AFFECTS BENEFITS,
8 INCORPORATES A FULFILLMENT OF CIRM'S MISSION. AND
9 USE OF THIS KNOWLEDGE IS A FOUNDATION FOR THE
10 DEVELOPMENT OF FUNDING INITIATIVES THAT SUPPORT
11 DIVERSITY IN REGENERATIVE MEDICINE. WE WANT TO FIND
12 OUT WHAT WE CAN DO TO ENHANCE DIVERSITY IN
13 CALIFORNIA. ESSENTIALLY WE'RE ASKING THOSE
14 QUESTIONS, WE'RE BRINGING THE PEOPLE TOGETHER TO GET
15 SOME VIEWS SO THAT WE CAN THEN BRING THEM BACK HERE
16 AND WORK ON THEM.

17 SO THE TOPICS ARE SCIENCE, DIVERSITY IN
18 REGENERATIVE MEDICINE, AND HOW TO ATTRACT PATIENTS
19 AND PHYSICIANS INTO CLINICAL TRIALS AS SEVERAL OF
20 THEM. IT'S ON FEBRUARY THE 26TH, I'M TOLD, NOT
21 25TH. PLEASE, IT'S ON THE 26TH. MR. TORRES, WE GOT
22 THE DATE WRONG. ART TORRES IS THE CHAIR OF THIS
23 DIVERSITY. SO IF YOU WANT MORE INFORMATION AND YOU
24 WANT SPECIAL INVITES AND SEATINGS, SEE ART. IT
25 SHOULD BE A VERY IMPORTANT MEETING, I THINK, AND

BARRISTERS' REPORTING SERVICE

1 IT'S VERY MUCH WELCOMED BY THAT SECTOR OF THE
2 COMMUNITY. THEY'RE LOOKING FORWARD TO IT.

3 THE OTHER WORKSHOP YOU MIGHT BE INTERESTED
4 IN, WE DECIDED TO HAVE ONE ON THE SOMATIC CELL
5 NUCLEAR TRANSFER. THIS IS THE CLONING TECHNIQUE,
6 THE USE OF EGGS FOR THE DEVELOPMENT OF SCNT; THAT
7 IS, EMBRYONIC STEM CELLS UTILIZING NUCLEAR TRANSFER.
8 WE'RE GOING TO HAVE THAT WORKSHOP IN SAN FRANCISCO.
9 WE'RE CO-WORKING WITH THE MRC, THE MEDICAL RESEARCH
10 COUNCIL OF THE UNITED KINGDOM. AND LORD PATEL,
11 WHO'S REALLY ONE OF THE KEY PEOPLE IN THE UK
12 GOVERNMENT AND HOUSE OF LORDS, HE'S VERY KEEN TO BE
13 INVOLVED AND BE THE CHAIR OF THAT COMMITTEE.

14 THE TARGET AUDIENCE FOR CIRM IS TO ASSESS
15 THE NEED FOR TARGETED SOMATIC CELL NUCLEAR TRANSFER
16 SUPPORT. WE'VE ONLY GOT ONE PROJECT IN THIS AREA.
17 IT WAS A BIG POLITICAL ISSUE. BOB AND MANY OTHERS
18 ARGUED IN A VERY DEEP AND MEANINGFUL WAY FOR HAVING
19 THIS TECHNOLOGY AVAILABLE. WE WERE REALLY UNABLE TO
20 REALLY INFLUENCE IT THROUGH THE SCIENTIFIC
21 PROCESSES. WE EXPECT THE AUDIENCE TO BE SCIENTIFIC
22 LEADERS IN HUMAN SOMATIC CELL NUCLEAR TRANSFER AND
23 THE STAKEHOLDERS IN THAT FIELD.

24 SO THE TOPICS WILL BE THE CURRENT STATUS
25 OF HUMAN SCNT. AND THERE WILL BE PEOPLE COMING FROM

BARRISTERS' REPORTING SERVICE

1 CHINA, THE UK, CALIFORNIA, AND OTHER PARTS OF THE
2 U.S. HURDLES THAT NEED TO BE OVERCOME AND HOW TO
3 OVERCOME THEM, IF IT'S POSSIBLE. AND REALLY I THINK
4 WE'VE GOT TO ASK THE QUESTION AT SOME POINT, ASSESS
5 THE CONTINUED NECESSITY OF PURSUING THE SOMATIC CELL
6 NUCLEAR TRANSFER. SOMETIME WE MIGHT HAVE TO SET IT
7 ASIDE. I DON'T MEAN TO BURY IT, BUT TO SET IT ASIDE
8 AND GET ON WITH OUR LIFE DOING SOME OTHER THINGS IF
9 WE CAN'T HAVE AN IMPACT ON IT. IF IT WON'T COME
10 GOOD, THEN MAYBE WE OUGHT TO WAIT UNTIL THE ANIMAL
11 STUDIES DELIVER SOME BETTER TECHNOLOGY FOR US. SO
12 WE HOPE THIS WILL BE AN INTERESTING WORKSHOP.

13 OKAY. SO THE VP R & D SEARCH UPDATE,
14 WE'RE FOCUSING OUR SEARCH ON M.D. OR M.D./PH.D.
15 PEOPLE, AND SOME OF THOSE HAVE ALSO GOT BUSINESS
16 DEGREES, WITH CLINICAL DEVELOPMENT AND EXPERIENCE,
17 ESPECIALLY THE PRECLINICAL PHASE I AND PHASE II
18 EXPERIENCE. THEY NEED TO HAVE A PROVEN TRACK RECORD
19 REPRESENTING DEVELOPMENT PROGRAMS IN FRONT OF THE
20 FDA WITH EXCELLENT COLLABORATOR AND FACILITATOR
21 CAPABILITY. SO THESE PEOPLE ARE GENERALLY,
22 PRIMARILY FROM THE PHARMACEUTICAL INDUSTRY, AND THEY
23 ARE VERY WELL-KNOWN PEOPLE IN THE PHARMACEUTICAL
24 INDUSTRY WHO ARE VERY INTERESTED IN JOINING US.

25 SO WE'VE GOT AN EXCELLENT GROUP OF PEOPLE

BARRISTERS' REPORTING SERVICE

1 COMING FORWARD FOR WHICH WE NEED TO SHORTEN THE LIST
2 AND BRING THAT FORWARD FOR DETAILED INTERVIEWS.

3 SO I THINK ONE MORE SLIDE. SO LEVIN &
4 COMPANY HAVE BEEN DOING THE FINDING FOR US, AND THEY
5 IDENTIFIED AROUND 75 CANDIDATES. WE'VE BEEN THROUGH
6 QUITE A LOT OF MEETINGS LOOKING AT WHICH WOULD BE
7 THE BEST PEOPLE ON PAPER AND GETTING SOME FEEDBACK
8 FROM THE LEVIN & COMPANY EXECUTIVES.

9 WE'VE MET WITH FOUR CANDIDATES, AND WE'RE
10 MEETING WITH ANOTHER FOUR OVER THE NEXT FEW WEEKS.
11 MOSTLY WE'RE TRYING TO TELL THEM WHAT WE DO BECAUSE
12 WE DON'T WANT THEM TO BE INTERESTED IF THEY THINK
13 THIS IS A BIOTECH COMPANY BECAUSE IT'S NOT. WE WANT
14 THEM TO UNDERSTAND WHAT WE DO. SO THE FIRST PART OF
15 IT IS FOR US TO GIVE THEM A DOWNLOAD OF WHAT WE DO.
16 AND WE'VE BEEN DOING THAT, AND ONLY ONE OF THEM
17 WHERE WE'VE GONE INTO SOME DISCUSSION ABOUT THEIR
18 BACKGROUND.

19 WE WILL HAVE SEVERAL PEOPLE FROM OVERSEAS,
20 ONE OF WHOM I THINK WILL BE VISITING NEXT WEEK, AND
21 WE WILL TRY AND DO MORE OF AN INTENSIVE INTERVIEW
22 RATHER THAN TRY AND BRING THEM BACK TWICE. SO SOME
23 OF THE BOARD MEMBERS, WE HOPE, WILL COME AND MEET
24 THESE PEOPLE. WE ARE RESTRICTED IN THE NUMBER OF
25 BOARD MEMBERS THAT WE CAN INTRODUCE IN THIS PROCESS.

BARRISTERS' REPORTING SERVICE

1 SO I NEED TO BE VERY CAREFUL ABOUT ALL OF THIS. AND
2 WE NEED TO LOOK AFTER THE NAMES OF THESE PEOPLE
3 BECAUSE THEY'RE VERY HIGH IN THE COMPANIES THAT THEY
4 WORK IN, AND WE DON'T WANT TO PUT THEIR INTERESTS AT
5 RISK IN THEIR OWN POSITION. SO WE ANTICIPATE HAVING
6 A VERY SHORT LIST BY FEBRUARY OR MARCH, HOPEFULLY BY
7 FEBRUARY. HOPEFULLY WE'LL MAKE THE OFFER AROUND
8 FEBRUARY AND THEN GET THE PERSON AS QUICKLY AS IS
9 FEASIBLE. SO THAT'S THE KIND OF FRAMEWORK THAT
10 WE'RE WORKING IN.

11 IF I MAY, CHAIR, CAN I ASK GEOFF LOMAX TO
12 DO WHAT HE WAS NEEDED TO DO LAST TIME, GIVE US A
13 QUICK VIEW OF THE COMPLIANCE PROGRAM THAT WE'VE RUN?
14 THANKS, GEOFF.

15 DR. LOMAX: THANK YOU, DR. TROUNSON, MR.
16 CHAIR, MEMBERS OF THE BOARD. WHAT I'M GOING TO
17 PROVIDE IS AN UPDATE OUR COMPLIANCE PROGRAM, WHICH
18 IS MODELED AFTER THE NIH PROACTIVE SITE VISIT
19 PROGRAM. THE PROGRAM SERVES TO ACTIVELY SUPPORT
20 COMPLIANCE WITH REGULATORY REQUIREMENTS.

21 WHAT THE COMPLIANCE PROGRAM INCORPORATES
22 IS OUR INSTITUTIONAL -- WE DO A SITE VISIT TO
23 EVALUATE THE INSTITUTIONAL RESEARCH OVERSIGHT, WHICH
24 FUNDAMENTALLY IS THE SCRO COMMITTEE. WE THEN
25 EVALUATE THE EFFECTIVENESS OF THIS COMMITTEE BY

BARRISTERS' REPORTING SERVICE

1 LOOKING AT SPECIFIC GRANT APPLICATIONS AND HOW THAT
2 COMMITTEE HAS SERVED TO REVIEW THOSE GRANTS. IN
3 ADDITION, FOR THE SAME GRANTS, WE MEET WITH
4 PRINCIPAL INVESTIGATORS AND WE LOOK AT THEIR
5 PUBLICATIONS AND THEIR IP REPORTING BECAUSE, AGAIN,
6 THERE'S PUBLIC POLICY REQUIREMENTS FOR REPORTING
7 THERE. AND FINALLY, MY COLLEAGUE CYNTHIA SCHAFFER
8 INCLUDES A COMPONENT WHERE SHE IS LOOKING AT
9 EXPENDITURE TRACKING REALLY TO UNDERSTAND OUR
10 GRANTEES' INTERNAL SYSTEMS FOR BUDGET MONITORING.

11 I DO WANT TO EMPHASIZE THAT THIS IS ONE
12 PART OF A FAR MORE COMPREHENSIVE SYSTEM AT CIRM FOR
13 LOOKING AT POLICY COMPLIANCE. AND FIRST AND
14 FOREMOST, OUR GRANTS ADMINISTRATION TEAM IN THEIR
15 PREFUNDING REVIEW LOOKS AT A WHOLE SERIES OF PUBLIC
16 POLICY ASSURANCES, PERSONNEL, THEY COMPILE THE
17 PUBLICATIONS AND IP REPORTING INFORMATION WHICH IS
18 REQUIRED BY CIRM REGULATION, AND OBVIOUSLY THEY
19 SPEND A SUBSTANTIAL AMOUNT OF TIME ON BUDGET AND
20 EXPENDITURE REPORTING.

21 IN ADDITION, I WANT TO HIGHLIGHT THE WORK
22 OF THE SCIENTIFIC PROGRAM. THEY ARE LOOKING VERY
23 CAREFULLY AT SCIENTIFIC PROGRESS THROUGH THE
24 SCIENTIFIC PROGRESS REPORTS. PUBLICATIONS, THERE'S
25 AN ACTIVE SYSTEM FOR TRACKING PUBLICATIONS. AND,

BARRISTERS' REPORTING SERVICE

1 AGAIN, THEY'RE ENSURING THAT BUDGETS ARE JUSTIFIED.

2 NOW, IF YOU JUST GO BACK ONE. JUST AS A
3 REMINDER, BOTH GRANTS ADMINISTRATION AND SCIENTIFIC
4 PROGRAM, THEY DO A VERY ACTIVE DRILL-DOWN ON EVERY
5 CIRM-FUNDED GRANT; WHEREAS, IN THE COMPLIANCE
6 PROGRAM, IT'S BASICALLY A SPOT-CHECK OF SELECTED
7 GRANTS.

8 AND SO LET ME GIVE YOU -- SHOW YOU OUR
9 PROTOCOL. WHAT WE DO IS WE FIRST DO AN INTERNAL
10 REVIEW OF THE PORTFOLIO. AND BECAUSE THIS IS
11 FOCUSING ON OUR MEDICAL AND ETHICAL STANDARDS, WE
12 TEND TO LOOK FOR GRANTS IN WHICH THERE IS A FAIRLY
13 SUBSTANTIAL LEVEL OF REVIEW REQUIRED. SO, FOR
14 EXAMPLE, A GRANT INVOLVING THE DERIVATION OF HUMAN
15 EMBRYONIC STEM CELL LINES WHERE THERE ARE EMBRYOS
16 INVOLVED, AND THAT TRIGGERS A SERIES OF IMPORTANT
17 REVIEW REQUIREMENTS. WE LOOK THROUGH THE FILES, WE
18 PREPARE FOR THE FIELD, AND THEN WE ACTIVELY GO
19 ON-SITE AT THE INSTITUTION. WE FIRST LOOK AT THEIR
20 OVERALL PROGRAM FOR OVERSIGHT. SO, AGAIN, WE'RE
21 LOOKING AT THE COMPOSITION OF THEIR OVERSIGHT
22 COMMITTEE, MAKING SURE THE COMMITTEE IS COMPRISED OF
23 THE APPROPRIATE EXPERTS, AND THEN WE LOOK AT HOW
24 THAT COMMITTEE REVIEWED THE PARTICULAR GRANT IN
25 QUESTION.

BARRISTERS' REPORTING SERVICE

1 IN ADDITION, AS I MENTIONED, MY COLLEAGUE
2 CYNTHIA SCHAFFER WILL ALSO BE LOOKING AT CERTAIN
3 BUDGETARY PERFORMANCE WITHIN THAT GRANT. WE
4 DOCUMENT THE COMPLIANCE STATUS THROUGH A SERIES OF
5 CHECKLISTS. WE USE THOSE CHECKLISTS TO PROVIDE A
6 DETAILED FEEDBACK REPORT TO THE GRANTEE. THE
7 GRANTEE HAS AN OPPORTUNITY TO REVIEW THAT REPORT,
8 AND THEN THE REPORT IS INCLUDED IN THE FILE FOR THIS
9 PROGRAM.

10 SOME QUICK HIGHLIGHTS TO DATE. WE'VE
11 COMPLETED SITE VISITS AT EIGHT INSTITUTIONS. AND
12 AMONG OUR ACADEMIC RESEARCH CENTERS, WHICH ARE THE
13 ONES WE'VE FOCUSED ON TO DATE, THE OVERSIGHT
14 STRUCTURE IS CONSISTENT WITH OUR REGULATIONS, AND
15 WE'VE BEEN QUITE PLEASED WITH THE ATTENTION TO
16 DETAIL IN THE OVERALL STRUCTURE. THE REVIEW OF
17 SPECIFIC APPLICATIONS BY THE COMMITTEE IS CONSISTENT
18 WITH THE REGULATORY REQUIREMENTS, SO THEY'RE SORT OF
19 TRIAGING THEIR REVIEWS IN ACCORDANCE WITH THE
20 ETHICAL CHALLENGES FOR THAT PARTICULAR APPLICATION.

21 WE HAVE PROVIDED RECOMMENDATIONS TO TWO
22 INSTITUTIONS REGARDING THE NEED FOR MORE EXPLICIT
23 PROCEDURES AND POLICIES TO GOVERN THEIR OPERATIONS.
24 IT'S REALLY A TRANSPARENCY ISSUE. WE REALLY WANT TO
25 MAKE SURE THERE'S A HIGH LEVEL OF DOCUMENTATION

BARRISTERS' REPORTING SERVICE

1 CONSISTENT WITH OUR REQUIREMENTS, AND THE GRANTEE
2 INSTITUTIONS HAVE BEEN BOTH RESPONSIVE AND
3 APPRECIATIVE OF OUR FEEDBACK. THIS IS A LEARNING
4 EXPERIENCE FOR EVERYONE.

5 WE IDENTIFIED ONE PATENT THAT HAD NOT BEEN
6 REPORTED, AND THE GRANTEE HAS NOW ADDRESSED THAT.
7 CIRM HAS WORKED WITH TWO GRANTEE INSTITUTIONS TO
8 ENSURE COMPLIANCE WITH ANIMAL CARE ACCREDITATION
9 REQUIREMENTS. AND I THINK THE MOST IMPORTANT PART
10 IS THAT THE SITE VISITS GENERALLY RESULT IN ONGOING
11 COMMUNICATION BETWEEN CIRM AND THE INSTITUTIONS. WE
12 DEVELOP RAPPORT, WE DEVELOP NAME RECOGNITION, AND
13 THEY LEARN THAT THEY CAN VIEW US AS A RESOURCE TO
14 SUPPORT COMPLIANCE OVERALL.

15 AND THAT'S MY REPORT. IF YOU HAVE ANY
16 QUESTIONS, I'D BE HAPPY TO ANSWER.

17 CHAIRMAN KLEIN: THANK YOU VERY MUCH. AND
18 I'D LIKE TO POINT OUT THAT THIS LAST WEEK NIH
19 RELEASED THE FIRST 13 HUMAN EMBRYONIC STEM CELL
20 LINES WITH FULL APPROVAL. AND GEOFF LOMAX REALLY
21 LED THIS AGENCY AND THE COUNTRY IN THE PROCESS OF
22 GOING THROUGH THE COMMENTS THAT LED TO NIH'S FINAL
23 APPROVAL PROCESS AND CRITERIA FOR EMBRYONIC STEM
24 CELL LINES. I THINK WE SHOULD GIVE HIM A GREAT HAND
25 OF APPLAUSE.

BARRISTERS' REPORTING SERVICE

1 (APPLAUSE.)

2 DR. TROUNSON: SO CAN I ASK MARGARET TO
3 COME FORWARD AND GIVE SOME OF THE -- JUST THE WINDUP
4 OF LAST YEAR'S WHOLE BUDGET AND WHERE WE ARE AT THE
5 MOMENT THIS YEAR.

6 MS. FERGUSON: OKAY. GOOD EVENING,
7 MEMBERS OF THE ICOC, CIRM STAFF, AND MEMBERS OF THE
8 PUBLIC. I'M HERE TODAY TO PRESENT THE FINAL
9 OVERVIEW OF THE 2008-9 OPERATING BUDGET, REPORT ON
10 THE OCTOBER 2009 BUDGET ALLOCATION AND EXPENDITURES,
11 AND GIVE AN UPDATE ON DONATIONS RECEIVED THROUGH
12 NOVEMBER OF 2009.

13 I WOULD LIKE TO BEGIN WITH THE FINAL
14 EXPENDITURES OF THE 2008-9 OPERATING BUDGET. THIS
15 PRESENTATION IS ONLY, AGAIN, ON WHAT CIRM SPENT ON
16 OPERATIONS AND DOES NOT INCLUDE ANY GRANT FUNDING.
17 THE SUMMARY BAR CHART OR THE BAR CHART SUMMARY YOU
18 SEE ON THE SCREEN INDICATES THAT UNDER SALARIES AND
19 BENEFITS, 5.4 MILLION OF THE \$7 MILLION DOLLAR
20 BUDGET ALLOCATION WAS EXPENDED. AND MOVING ONTO
21 OE&E, WHICH IS OPERATING EXPENDITURES AND EQUIPMENT,
22 WE EXPENDED 4.9 MILLION OF THE 6.3 THAT WAS
23 ALLOCATED. AND FINALLY, CIRM EXPENDED A TOTAL OF
24 \$10.4 MILLION OF THE ENTIRE 2008-9 BUDGET OR \$13.4
25 MILLION OR 78 PERCENT OF THE TOTAL BUDGET.

BARRISTERS' REPORTING SERVICE

1 AS HAD BEEN PROJECTED, CIRM ENDED THE
2 FISCAL YEAR WITH A 22-PERCENT SAVINGS, AND THOSE
3 AREAS OF EXPENSE THAT RECORDED SAVINGS ARE INDICATED
4 ON THE CHART THAT WAS PROVIDED IN YOUR BINDER. AND
5 IF YOU HAVE ANY QUESTIONS CONCERNING THESE SAVINGS
6 OR THE FINAL 2008-9 EXPENDITURES, I'D BE GLAD TO
7 ADDRESS THEM AT THIS TIME.

8 MR. GOLDBERG: FIRST OF ALL, VERY NICE
9 JOB. AND THANK YOU FOR YOUR HARD WORK ALL YEAR LONG
10 AND YOUR TEAM'S HARD WORK AND TO JOHN ROBSON ALSO.

11 (APPLAUSE.)

12 MR. GOLDBERG: HOW MUCH OF THE SAVINGS IS
13 ONGOING VERSUS TIMING ISSUES THAT WE'LL PICK UP IN
14 THE NEXT FISCAL YEAR IN YOUR OPINION?

15 MS. FERGUSON: WELL, A PORTION OF THE
16 SAVINGS ARE FOR THIS 2008 AND 9. IN SALARIES AND
17 BENEFITS, WE HAD A SIGNIFICANT SAVINGS. AND THAT
18 WAS DUE TO THE FACT THAT WE WERE UNABLE TO -- WE HAD
19 BUDGETED FOR 44 POSITIONS AND WE CLOSED THE YEAR AT
20 38. SO WE WILL LOOK -- IT LOOKS LIKE WE WILL STILL
21 GARNER SAVINGS IN SALARIES AND BENEFITS, AND THAT'S
22 ALL DUE TO THE FACT THAT WE HAVE TO GO THROUGH A
23 RIGID OR RIGOROUS HIRING PROCESS AND GET THE RIGHT
24 CANDIDATES FOR THE POSITIONS. SO YOU ARE GOING TO
25 HAVE A SAVINGS IN THAT AREA.

BARRISTERS' REPORTING SERVICE

1 RIGHT NOW IN THE -- WELL, WHEN WE GET TO
2 THE CURRENT YEAR, YOU WILL SEE THAT WE REDUCED OUR
3 OVERALL BUDGET. WHEN WE CAME TO YOU LAST JUNE, WE
4 MADE A SIGNIFICANT REDUCTION WHEN WE WENT THROUGH
5 AND DEVELOPED THE BUDGET SO THAT WE COULD MORE
6 CLOSELY RECORD EXPENDITURES TO WHAT WE ALLOCATED.
7 NOW, I WOULD STILL SAY THAT IN SALARIES AND BENEFITS
8 WE WILL GARNER A SAVINGS; AND BASED ON WHAT I'M
9 LOOKING AT, WE -- IT'S TOO EARLY TO TELL IN THE
10 OPERATING EXPENSES WHAT WILL HAPPEN THERE. BUT AS
11 WE GO THROUGH THE OCTOBER ONE, I'LL GIVE YOU A
12 SNAPSHOT OF WHERE WE ARE.

13 MR. GOLDBERG: THANK YOU.

14 MS. FERGUSON: OKAY. HERE WE GO. AGAIN,
15 IN EACH OF YOUR BINDERS, YOU HAVE THE SUPPORTING
16 DETAIL FOR THE BAR CHART THAT'S NOW DISPLAYED ON THE
17 SCREEN. AND I'LL BRIEFLY, AGAIN, SAY THAT THROUGH
18 OCTOBER 31, 2009, WE HAVE RECORDED TOTAL
19 EXPENDITURES OF 2.9 MILLION OF OUR TOTAL \$12.9
20 MILLION BUDGET OR JUST 23 PERCENT. THE CHART
21 INDICATES THAT 2.2 MILLION OF THE 7.4 THAT WAS
22 BUDGETED FOR SALARIES AND BENEFITS HAS BEEN
23 EXPENDED, AND \$827,000 OF THE 5.5 THAT WAS ALLOCATED
24 FOR OPERATING EXPENDITURES AND EQUIPMENT, THAT
25 INCLUDES THINGS LIKE, BUT IT'S NOT LIMITED TO,

BARRISTERS' REPORTING SERVICE

1 INTERAGENCY AGREEMENTS, CONTRACTS, MEETINGS,
2 INFORMATION TECHNOLOGY, TRAVEL, OFFICE SUPPLIES,
3 TRAINING, AND COMMUNICATIONS SERVICES. THE
4 EXPENDITURES INDICATE THAT WE'VE RECORDED 15 PERCENT
5 OF OUR OPERATING EXPENDITURE AND EQUIPMENT BUDGET
6 AND 29 PERCENT OF OUR SALARIES AND BENEFITS
7 ALLOCATION. OVERALL THE EXPENDITURE SUMMARY BEFORE
8 YOU INDICATES THAT 23 PERCENT OF THE APPROVED BUDGET
9 ALLOCATION HAS BEEN APPROVED -- I'M SORRY --
10 RECORDED.

11 HOWEVER, WHAT I WOULD LIKE TO BRING TO THE
12 BOARD'S ATTENTION IS THAT THERE IS A MINIMUM
13 ONE-MONTH LAG ON INVOICES AND AN EVEN GREATER LAG
14 DURING THE FIRST FOUR MONTHS OF THE FISCAL YEAR
15 BECAUSE AGENCIES ARE CLOSING BOOKS, PREPARING
16 YEAR-END FINANCIAL STATEMENTS, AND SETTING UP FOR
17 THE NEW FISCAL YEAR IN JULY AND AUGUST. TYPICALLY
18 INVOICES FOR GOODS AND SERVICES RENDERED IN ONE
19 MONTH ARE NOT PROCESSED FOR PAYMENT OR POSTED TO OUR
20 BUDGET REPORTS UNTIL THE SUBSEQUENT MONTH. IN
21 ADDITION TO THIS MONTHLY LAG IN PROCESSING INVOICES,
22 WE HAVE CONTRACTS AND SOME INTERAGENCY AGREEMENTS
23 THAT ARE NOT PAID ON A MONTHLY BASIS, BUT RATHER ON
24 A QUARTERLY BASIS OR WHEN THE FINAL PRODUCT HAS BEEN
25 RECEIVED AND APPROVED.

BARRISTERS' REPORTING SERVICE

1 WE CURRENTLY HAVE OUTSTANDING ACCRUED
2 OBLIGATIONS OF APPROXIMATELY \$565,000 THROUGH
3 OCTOBER '09 THAT HAVE NOT BEEN RECORDED ON THE
4 OCTOBER BUDGET REPORT. WHEN WE RECOGNIZE THESE
5 OUTSTANDING OBLIGATIONS, THE OPERATING EXPENSES AND
6 EQUIPMENT BUDGET WILL REFLECT THAT 25 PERCENT OF THE
7 BUDGET ALLOCATION WAS EXPENDED AND THAT OVERALL
8 WE'VE EXPENDED 27 PERCENT OF OUR 2009-10 BUDGET. IF
9 WE WERE SPENDING AT THIS POINT IN TIME EVERY -- I
10 WOULDN'T SAY EVERY NICKEL THAT WE WOULD HAVE IN THE
11 FIRST THIRD OF THE YEAR, IT WOULD BE ABOUT 33
12 PERCENT OF THE OVERALL BUDGET. SO WE'RE RUNNING
13 ABOUT 6 PERCENT UNDER AT THIS POINT IN TIME WITH 4
14 PERCENT OF THAT BEING IN SALARIES AND BENEFITS.

15 NOW, IF YOU HAVE ANY QUESTIONS ON THE
16 INFORMATION THAT WAS IN YOUR BINDER, I'D BE GLAD TO
17 ADDRESS IT.

18 CHAIRMAN KLEIN: THANK YOU VERY MUCH. WE
19 ALWAYS APPRECIATE YOUR WORK. SHE SPENDS A LOT OF
20 LATE NIGHTS. I THINK IT WOULD BE APPROPRIATE TO
21 GIVE HER A HAND OF APPLAUSE.

22 (APPLAUSE.)

23 MR. GOLDBERG: AND TO CONGRATULATE REALLY
24 ALAN AND THE WHOLE ORGANIZATION FOR YOUR
25 SPENDTHRIFTNESS, AND IT'S APPRECIATED BY, NOT ONLY

BARRISTERS' REPORTING SERVICE

1 THE BOARD, BUT ALSO BY ALL THE TAXPAYERS IN
2 CALIFORNIA THAT MAKE THIS POSSIBLE.

3 DR. TROUNSON: THANKS, MICHAEL. WE
4 APPRECIATE THAT. SO, CHAIR, JUST TO FINISH OFF,
5 THERE'S A COUPLE OF SLIDES BY JOHN ROBSON.

6 MS. FERGUSON: I HAVE ONE MORE.

7 DR. TROUNSON: I'M SORRY.

8 MS. FERGUSON: THE DONATION REPORT. ALL
9 RIGHT. DURING THE PERIOD JULY THROUGH NOVEMBER '09,
10 WE RECEIVED \$790 IN DONATIONS THAT WERE MADE IN
11 MEMORY OF ROBERT QUIST. AND I WOULD LIKE TO READ
12 THE DONOR NAMES AT THIS TIME BECAUSE IT IS IMPORTANT
13 THAT WE ACKNOWLEDGE THESE DONATIONS THAT ARE MADE OR
14 WERE MADE BECAUSE FAMILIES SUFFERED LOSSES TO
15 DISEASES THAT HAVE NO CURES. AND THEY ARE ROD AND
16 JUDY ALTHOUSE, NANCY AND PETER BELL, M. BENNETT,
17 DR. ANDREW CHIN AND FAMILY, LORETTA CHIN, MR. AND
18 MRS. BERNARD GOTTFRIED, PAMELA HAMILTON AND FAMILY,
19 GARY HARRISON, THE KINGS INVESTMENT CLUB OF HANFORD,
20 PHYLLIS MANN, BARBARA RAMOS, JOSEPH M. AND ELIZABETH
21 D. SEAMUS, RICHARD C. AND MARCIA K. WINNEN, CLARENCE
22 R. AND MILDRED C. WILLIAMS, HELEN WONG, J. K. WONG,
23 JANET MAY WONG, AND WILLIAM AND PATRICK YICK.

24 I HAD THE OPPORTUNITY TO SPEAK WITH BOTH
25 MRS. QUIST AND HER BROTHER WHO ACTUALLY MADE THE

BARRISTERS' REPORTING SERVICE

1 ARRANGEMENTS FOR THE DONATIONS TO BE MADE IN MEMORY
2 OF MR. QUIST. AND I HAD THE OPPORTUNITY TO TELL
3 THEM THAT CIRM IS VERY FOCUSED IN ITS MISSION TO
4 FUNDING THE BEST SCIENCE THAT WILL LEAD TO FINDING
5 THOSE CURES. AND I THANK YOU.

6 CHAIRMAN KLEIN: THANK YOU VERY MUCH.

7 (APPLAUSE.)

8 DR. ROBSON: MR. CHAIRMAN, I'D LIKE TO
9 GIVE YOU A REPORT ON OUR OVERALL FINANCES. THIS IS
10 SORT OF AN EXTENSION OF WHAT MARGARET HAS JUST DONE.
11 I'M GOING TO INCLUDE THE GRANT FUNDS AND SHOW YOU
12 WHERE WE ARE, OUR OVERALL FINANCIAL SITUATION, AND
13 GIVE YOU SORT OF A SNAPSHOT OF HOW THINGS LOOK INTO
14 THE FUTURE FOR ABOUT THE NEXT YEAR AND A HALF.

15 SO FIRST SLIDE, SO THE PROJECTIONS THAT
16 I'M GOING TO SHOW YOU, THIS IS WHAT'S INCLUDED IN
17 THOSE PROJECTIONS IN ADDITION TO THE OPERATIONS
18 COSTS THAT MARGARET GAVE YOU. IT WILL INCLUDE ALL
19 PROGRAMS THAT ARE CURRENTLY ACTIVE, ALL PROGRAMS
20 THAT HAVE BEEN APPROVED BY THE ICOC, AND THAT
21 INCLUDES DISEASE TEAMS, WHICH WERE APPROVED AT \$230
22 MILLION AT THE LAST MEETING. AND IT ALSO INCLUDES
23 PROGRAMS THAT HAVE RECEIVED CONCEPT APPROVAL BY THE
24 BOARD. SO THOSE INCLUDE BASIC BIOLOGY AT THE
25 RECOMMENDED BUDGET OF 30 MILLION, IMMUNOLOGY AT

BARRISTERS' REPORTING SERVICE

1 ANOTHER 30 MILLION, AND THEN THE RESEARCH LEADERSHIP
2 AWARDS BUDGETED AT 44 MILLION. SO THIS IS WHAT'S
3 INCLUDED IN THIS PROJECTION.

4 IT DOES NOT INCLUDE THE EARLY TRANSLATION
5 PROGRAM II, WHICH YOU WILL BE ASKED TO APPROVE FOR
6 CONCEPT APPROVAL AT THIS MEETING. SO THE NUMBERS
7 WILL CHANGE A LITTLE BIT. THAT ONE PROBABLY
8 WOULDN'T ACTUALLY BEGIN FUNDING UNTIL -- THE CASH
9 FLOW WOULDN'T BEGIN UNTIL A YEAR FROM NOW OR A
10 LITTLE BIT MORE THAN THAT, SO IT WON'T HAVE A HUGE
11 IMPACT ON THIS, BUT LET ME JUST SHOW YOU WHERE WE
12 ARE NOW.

13 SO I'VE SHOWN THIS DASHBOARD PICTURE
14 BEFORE, BUT I DIDN'T SHOW IT AT THE LAST MEETING
15 BECAUSE WE DIDN'T HAVE ENOUGH TIME, SO MAYBE I'LL
16 JUST DESCRIBE IT TO YOU ONE MORE TIME BECAUSE IT IS
17 COMPLICATED, ALTHOUGH I THINK IT'S A NICE WAY TO
18 REPRESENT WHERE WE ARE. EACH OF THE VERTICAL BARS
19 REPRESENT OUR EXPENDITURES IN THE DIFFERENT QUARTERS
20 BEGINNING JANUARY OF '09 AND GOING UNTIL THE END OF
21 JUNE 2011. THAT'S THE END OF THE FISCAL YEAR
22 2010-11. THE Y AXIS HERE ON THE LEFT, THOSE NUMBERS
23 REFER TO THOSE VERTICAL BARS, AND EACH OF THOSE
24 BARS, THE BLUE REPRESENTS THE PROJECTED EXPENDITURES
25 ON GRANTS, AND THE TAN REPRESENTS THE EXPENDITURES

BARRISTERS' REPORTING SERVICE

1 FOR OPERATIONS. SO YOU CAN SEE MOST OF OUR MONEY
2 GOES OUT TO OUR GRANTEES.

3 THE GREEN LINE REPRESENTS THE AMOUNT OF
4 MONEY THAT WE HAVE IN THE BOND FUND. SO THIS IS OUR
5 BANK ACCOUNT. THE NUMBERS ON THE RIGHT, VERTICAL
6 AXIS ON THE RIGHT, SHOW -- REFER TO THAT GREEN LINE.
7 SO YOU CAN SEE A COUPLE OF TIMES IT'S GONE UP, AND
8 THAT'S WHERE WE'VE RECEIVED NEW FUNDS. SO YOU
9 REMEMBER LAST APRIL WE RECEIVED 270 MILLION. IN
10 OCTOBER THIS YEAR WE RECEIVED ANOTHER 118 MILLION.
11 UNFORTUNATELY I DIDN'T GET TO DO THIS PRESENTATION
12 IN OCTOBER RIGHT AFTER THE MONEY CAME, BUT ANYWAY,
13 IT'S STILL NICE TO BE ABLE TO SAY THAT TO YOU. WE
14 GOT AN ADDITIONAL 118 MILLION. AT THE END OF THIS
15 QUARTER, WE'LL HAVE ABOUT \$406 MILLION AVAILABLE FOR
16 OUR OPERATIONS AND OUR GRANT FUNDS.

17 AS YOU PROJECT FORWARD, YOU WOULD SEE THAT
18 THAT WILL REACH ZERO HERE, THE RED LINE IS ZERO; AND
19 AS I ALWAYS SAY, WE WANT TO KEEP THE GREEN LINE
20 ABOVE THE RED LINE. SO WE WILL BE AT THE END OF THE
21 FISCAL YEAR 2011, WE WILL BE DOWN TO ABOUT ZERO IN
22 THE ACCOUNT. THAT'S NOT NECESSARILY THE WAY I
23 SHOULD SAY IT. I SHOULD SAY WE HAVE ADEQUATE FUNDS
24 TO FUND ALL OF OUR PROGRAMS BETWEEN NOW AND THE END
25 OF THE FISCAL YEAR 2011. SO I WOULD SAY WE'LL BE

BARRISTERS' REPORTING SERVICE

1 LOOKING AT TRYING TO RAISE SOME MORE MONEY THROUGH
2 BOND SALES IN THE SECOND HALF OF NEXT YEAR.

3 SO ANY QUESTIONS ABOUT THAT?

4 CHAIRMAN KLEIN: IN TERMS OF THE
5 TRANSLATIONAL GRANT THAT YOU REFERENCED, I THINK IT
6 WOULD BE APPROPRIATE WHILE WE HAVE THIS SLIDE UP TO
7 GIVE THE BOARD A SENSE OF THE EFFECT OF FUNDING THAT
8 IN TERMS OF THESE NUMBERS.

9 DR. ROBSON: SO THE REQUEST FOR THAT IS
10 \$80 MILLION. IT'S A THREE-YEAR PROGRAM.
11 REALISTICALLY THE FIRST GRANTS PROBABLY WON'T --
12 MONEY WON'T GO OUT TO THOSE UNTIL THE FIRST QUARTER
13 OF 2011 BECAUSE IT'S NOT SCHEDULED TO COME TO YOU
14 UNTIL NEXT OCTOBER FOR APPROVAL. IT USUALLY TAKES
15 ABOUT A QUARTER BEFORE THEY ACTUALLY GET THROUGH ALL
16 THE PFAR AND THE MONEY ACTUALLY FLOWS OUT.

17 NOW, YOU RECALL WE NOW HAVE BEEN DOING FOR
18 THE LAST YEAR OR SO OUR PAYMENTS ON A QUARTERLY
19 BASIS. SO A QUARTER -- THAT WOULD MEAN THAT TWO
20 QUARTERS WOULD BE PAID BETWEEN JANUARY AND THE END
21 OF JUNE PROBABLY. THAT WOULD AMOUNT TO ABOUT \$13
22 MILLION. SO THE IMPACT ON THAT WOULD BE ABOUT 13
23 MILLION. THAT WOULD PUT US ABOUT 13 MILLION BELOW.

24 CHAIRMAN KLEIN: THAT'S RIGHT. AND
25 REMEMBER OUR ORIGINAL GOAL WAS TO MAKE SURE THAT WE

BARRISTERS' REPORTING SERVICE

1 GOT TO DECEMBER 2010 WITH RESERVES, SO WE'VE
2 ACHIEVED THAT AND WE'RE BEYOND THAT.

3 DR. ROBSON: THAT'S TRUE, BUT I THINK FOR
4 THE PURPOSES OF THE INFORMATION I'M TRYING TO GIVE
5 TO YOU, I TRY TO KEEP ABOUT A 18- TO 24-MONTH LOOK
6 FORWARD JUST SO YOU CAN SEE WHERE WE ARE. 2010 HAS
7 MOVED BACK ON MY SCALE BECAUSE I'M GOING TO KEEP
8 MOVING THIS THING FORWARD.

9 CHAIRMAN KLEIN: OUR PROJECTIONS THAT LYNN
10 HARWELL AND I DO FOR THE TREASURER'S OFFICE HAS AN
11 18-MONTH TO TWO-YEAR, SOMETIMES A THREE-YEAR LOOK
12 FORWARD TO MAKE SURE THAT WE'RE AT THE TOP OF THEIR
13 LIST. AND CERTAINLY SENATOR TORRES MAKES CERTAIN
14 THAT THAT HAPPENS AT THE TREASURER'S OFFICE.

15 IT IS IMPORTANT AS WELL, SINCE WE HAVE
16 ANOTHER ITEM THAT WILL COME UP TOMORROW, WHICH IS
17 THE BRIDGES AND CONSIDERATION OF THOSE RECOMMENDED
18 FOR FUNDING IF FUNDS WERE AVAILABLE FOR BRIDGES AND
19 TRAINING GRANTS II, TO GIVE THIS BOARD, I THINK, A
20 SENSE OF HOW MUCH THAT WOULD AFFECT, A, OUR DECEMBER
21 2010 TOTAL AND THE JUNE 2011 TOTAL.

22 DR. ROBSON: WELL, OF COURSE, THAT DEPENDS
23 ON THE AMOUNT OF MONEY.

24 CHAIRMAN KLEIN: ASSUMING THEY WERE TO
25 APPROVE THEM ALL AND THEN THEY CAN STEP BACK FROM

BARRISTERS' REPORTING SERVICE

1 THAT.

2 DR. ROBSON: I THINK THE TOTAL AMOUNT WAS
3 SOMETHING IN THE NEIGHBORHOOD OF 11 AND A HALF, 11
4 AND A HALF MILLION. AGAIN, ASSUMING THAT THOSE
5 THINGS WOULD START IN THE NEXT QUARTER, THE FIRST
6 QUARTER OF NEXT YEAR, THAT WOULD BE ABOUT A YEAR AND
7 A HALF GOING FORWARD. SO THAT WOULD BE ABOUT HALF
8 OF THAT TO THE END OF JUNE 2011. SO THAT WOULD BE
9 FIVE TO \$6 MILLION IMPACT ON THAT. IT WOULD BE,
10 WHAT, SIX MONTHS LESS THAN THAT GOING. SO IT'D
11 PROBABLY BE ABOUT THREE TO FOUR MILLION GOING TO THE
12 END OF 2010.

13 CHAIRMAN KLEIN: OKAY. THANK YOU VERY
14 MUCH. ANY OTHER QUESTIONS? NO OTHER QUESTIONS.
15 THANK YOU VERY MUCH, JOHN.

16 ALL RIGHT. SO IF I COULD GET ADVICE HERE
17 IN TERMS OF WHERE WE ARE. IS DR. FRIEDMAN AVAILABLE
18 AT THIS TIME?

19 MS. KING: HE'S WITH A PATIENT.

20 CHAIRMAN KLEIN: HE'S WITH A PATIENT.

21 MS. KING: HE WAS PLANNING TO JOIN BY
22 PHONE, BUT HAD TO BE WITH A PATIENT.

23 CHAIRMAN KLEIN: SO AT THIS POINT ARE WE
24 ONE SHORT OF QUORUM? YES. SO WHAT I'D LIKE TO DO
25 TO EFFECTIVELY USE THE TIME IS PROCEED THROUGH THE

BARRISTERS' REPORTING SERVICE

1 NEXT FEW ITEMS. AND WHAT WE WILL DO IS THEN WHEN WE
2 BRING THEM UP TOMORROW WITH A QUORUM, WE WILL HAVE
3 HAD THE OPPORTUNITY TO HAVE PRESENTATIONS,
4 QUESTIONS, PUBLIC COMMENT. AND THEN FOR THOSE
5 INCREMENTAL MEMBERS WHO ARE HERE, WE'LL TRY AND
6 ADDRESS THEIR SPECIFIC QUESTIONS, BUT IT WILL GIVE
7 US THE ABILITY HOPEFULLY TO MOVE THROUGH IT VERY
8 QUICKLY.

9 SO ITEM 9 IS CONSIDERATION OF NEW
10 SCIENTIFIC MEMBERS OF THE GRANTS WORKING GROUP. DR.
11 GIL SAMBRANO, WILL YOU PLEASE PRESENT THIS ITEM?

12 DR. SAMBRANO: YES, I'D BE HAPPY TO. MR.
13 CHAIR AND MEMBERS OF THE BOARD, TODAY WE'RE BRINGING
14 FOR YOUR CONSIDERATION SIX NOMINEES FOR THE
15 ALTERNATE GRANTS WORKING GROUP OR AS ALTERNATE
16 GRANTS WORKING GROUP MEMBERS WHO ARE GOING TO EXPAND
17 OUR OVERALL EXPERTISE IN THE AREAS OF TUMOR
18 SUPPRESSION, CELLULAR REPROGRAMMING, CELL SIGNALING,
19 DIABETES, AND TRANSPLANTATION.

20 AS A REMINDER, ALL ALTERNATE GRANTS
21 WORKING GROUP MEMBERS MAY BE CALLED UPON TO
22 PARTICIPATE IN A GRANTS WORKING GROUP MEETING AS AN
23 AD HOC REVIEWER OR ASKED TO BECOME A REGULAR MEMBER
24 OF THE WORKING GROUP TO REPLACE CURRENT MEMBERS AS
25 NECESSARY. THESE NEW MEMBERS WOULD ALSO BE SUBJECT

BARRISTERS' REPORTING SERVICE

1 AND MUST AGREE TO ABIDE BY THE SAME CONFLICT OF
2 INTEREST RULES AND THE SAME FINANCIAL DISCLOSURE
3 POLICY AS REGULAR MEMBERS.

4 THE NOMINEES ARE SHOWN AND LISTED IN ITEM
5 9 IN YOUR BOOKS. WE PROVIDED A BRIEF BIO. THE SIX
6 NOMINEES INCLUDE DR. WAFIK EL-DEIRY, DR. OLLE
7 KORSGREN, DR. THEODORE RASMUSSEN, DR. NORMAN
8 SHARPLESS, DR. IGOR SLUKVIN, AND DR. MICHAEL B.
9 YAFFE. AND THIS WOULD BRING THE NUMBER OF ALTERNATE
10 MEMBERS OF THE WORKING GROUP NOW TO A TOTAL OF 111.
11 AND SO WE REQUEST YOUR APPROVAL AND APPOINTMENT OF
12 THESE NOMINEES AS ALTERNATE MEMBERS OF THE WORKING
13 GROUP.

14 MR. TORRES: ARE THERE ANY COMMENTS FROM
15 MEMBERS OF THE BOARD?

16 MR. GOLDBERG: I WOULD JUST SAY THAT I
17 KNOW DR. YAFFE. AND IF THE OTHER MEMBERS THAT YOU
18 ARE PROPOSING ARE AT ALL IN HIS LEAGUE, I THINK IT'S
19 AN EXTRAORDINARY SLATE.

20 MR. TORRES: ANY FURTHER COMMENTS? MR.
21 PRESIDENT.

22 DR. TROUNSON: ACTING CHAIR, WE DO HAVE A
23 MICHAEL YAFFE IN THE STAFF. I'M JUST WONDERING --

24 MR. GOLDBERG: I APOLOGIZE. I CONSIDER
25 HIM IN THAT LEAGUE AS WELL. I WAS SPECIFICALLY

BARRISTERS' REPORTING SERVICE

1 REFERRING TO THE PROFESSOR AT MIT, HOWEVER.

2 CHAIRMAN KLEIN: ALL RIGHT. SO IF THERE
3 ARE NOT ANY OTHER QUESTIONS, I THINK I'D ASK FOR
4 ADDITIONAL STAFF COMMENT. IS THERE ANY PUBLIC
5 COMMENT ON THIS ITEM? SEEING NO PUBLIC, DR.
6 SAMBRANO, I THINK WHAT WE'LL DO IS TRY AND PICK THIS
7 UP TOMORROW AND BE ABLE TO MOVE THROUGH IT QUICKLY.
8 THANK YOU VERY MUCH.

9 WITH ITEM NO. 10, THE GRANTS WORKING GROUP
10 BYLAWS, DR. SAMBRANO IS GOING TO PRESENT THAT AS
11 WELL.

12 DR. SAMBRANO: SO THAT'S OKAY. SO WITH
13 REGARD TO THE BYLAWS, SO ON SEPTEMBER 9TH THE GRANTS
14 WORKING GROUP CONSIDERED AND RECOMMENDED TO THE ICOC
15 AN AMENDMENT TO THE GRANTS WORKING GROUP BYLAWS IN
16 ORDER TO REFLECT CHANGES PROPOSED FOR SELECTING AND
17 APPOINTING THE CHAIR OF THE GRANTS WORKING GROUP.

18 THESE PROPOSED CHANGES INCLUDE
19 MODIFICATIONS IN ARTICLE 4, SECTION 9 AND AN
20 ADDITION OF SECTION 9.5, BOTH RELATED TO THE PROCESS
21 OF APPOINTMENT OF WHAT WE WERE CALLING
22 ADMINISTRATIVE CHAIR AND ACTING CHAIR OF THE GRANTS
23 WORKING GROUP WITH THEIR RESPECTIVE DUTIES. THESE
24 WERE PRESENTED TO YOU, THE BOARD, ON OCTOBER 28TH.
25 TO CONSIDER THESE RECOMMENDATIONS, THIS ITEM WAS

BARRISTERS' REPORTING SERVICE

1 POSTPONED IN ORDER TO MAKE SOME NECESSARY
2 CORRECTIONS.

3 SO SINCE THAT TIME, WE'VE MADE A
4 CORRECTION TO THE BYLAWS. ALL THE CHANGES,
5 INCLUDING THE NEW ONES, SHOULD BE IN YOUR NOTEBOOKS
6 UNDER ITEM 10. THE NEW CHANGES INCLUDE A CORRECTION
7 TO A REFERENCE UNDER THE VICE CHAIR DUTIES WHICH CAN
8 BE FOUND ON PAGE 4. IT WAS INCORRECTLY REFERENCING
9 ARTICLE 6, SECTION 2(B). THE CORRECT REFERENCE IS
10 ARTICLE 7, SECTION 2(C).

11 WE HAVE ALSO UPDATED THE PARAGRAPH
12 DISCUSSING COMPENSATION TO ICOC MEMBERS OF THE
13 GRANTS WORKING GROUP AND MADE REFERENCE TO THE ICOC
14 BYLAWS AS THE DEFINING SOURCE FOR UPDATED AMOUNTS.
15 AND THAT WAS SECTION 11(A) ALSO ON PAGE 4.

16 AND THEN WE'VE ALSO MADE A CHANGE IN THE
17 TERMINOLOGY SLIGHTLY TO THE CHAIRS. THE
18 ADMINISTRATIVE CHAIR HAS NOW BEEN CHANGED SIMPLY TO
19 CHAIR. THE ACTING CHAIR TO REVIEW CHAIR. AND WE
20 THINK ACCURATELY THEY REFLECT THE INTENDED ROLE OF
21 THESE INDIVIDUALS.

22 AND SO WE ARE, AGAIN, BRINGING THESE FOR
23 YOUR CONSIDERATION AND DISCUSSION.

24 CHAIRMAN KLEIN: OKAY. ARE THERE COMMENTS
25 TO BE MADE BY THE BOARD MEMBERS? JEFF, DID YOU HAVE

BARRISTERS' REPORTING SERVICE

1 A COMMENT? NO. ALL RIGHT. IS THERE ANY COMMENTS
2 BY THE PUBLIC ON THIS ITEM?

3 AND, DR. SAMBRANO, THE CHAIR, THE REVIEW
4 CHAIR, THE PROCESS FOR THAT IS THAT THE PRESIDENT
5 WILL LOOK AT EACH REVIEW AND TRY AND SELECT SOMEONE
6 APPROPRIATE TO THAT REVIEW; IS THAT CORRECT?

7 DR. SAMBRANO: YES, THAT'S CORRECT.

8 CHAIRMAN KLEIN: JOAN SAMUELSON HAD A
9 POINT THAT SHE WANTED ME TO RAISE. AND I'M GOING TO
10 RAISE IT IN THE CONTEXT THAT I THINK WOULD WORK WELL
11 WITH THESE POINTS, WHICH IS THAT SHE WAS CONCERNED
12 THAT THERE WOULD BE A CONVERSATION, I THINK, BETWEEN
13 THE VICE CHAIRS AND THE PRESIDENT WHEN A REVIEW CAME
14 UP, DR. TROUNSON. AND I THINK YOU'VE USUALLY
15 LOOKED -- BEEN WILLING TO JUST CONVERSE WITH MEMBERS
16 OF THE PEER REVIEW COMMITTEE TO TAKE INPUT.

17 BUT MY CONVERSATION WITH JOAN IS, I THINK,
18 THE PRESIDENT, AS THE HEAD OF OUR SCIENTIFIC TEAM,
19 IS IN THE POSITION TO MAKE THIS APPOINTMENT, BUT
20 CERTAINLY WOULD GENERALLY DISCUSS THIS IF THERE WERE
21 A QUESTION WITH THE VICE CHAIRS. IS THAT AN
22 APPROPRIATE RESPONSE I GAVE HER?

23 DR. TROUNSON: WELL, I THINK THE IMPORTANT
24 PART IS TO GET THE BEST CHAIR POSSIBLE FOR WHATEVER
25 GRANTS THAT WE'VE GOT. SO, YOU KNOW, WE WANT TO BE

BARRISTERS' REPORTING SERVICE

1 AS ACTIVE AS WE CAN. AND I THINK WE'VE DONE THAT.
2 FOR EXAMPLE, IN THE CASE OF THE IMMUNOLOGY PROGRAM,
3 WE'VE GONE OVERSEAS TO GET SOMEONE VERY SPECIAL.

4 SO I'M VERY OPEN TO SUGGESTIONS, BUT I'M
5 VERY KEEN TO GET THE VERY BEST SCIENTIST TO BE IN
6 PLACE. AND WE HAD A DISCUSSION WITH THE SCIENCE
7 COMMUNITY OF CALIFORNIA, THE LEADING SCIENTISTS, ALL
8 THE HEADS OF THE PROGRAMS, AND THEIR VIEW WAS VERY
9 MUCH THAT WE NEED TO MAINTAIN THE VERY BEST QUALITY
10 OF THE REVIEWERS AND PARTICULARLY THE CHAIRS. AND
11 SO WE UNDERTAKE TO GET AS BEST POSSIBLE THE BEST
12 PERSON FOR THAT PARTICULAR REVIEW. IF IT'S A
13 TRANSLATIONAL PROGRAM, WE'LL BE LOOKING FOR A
14 TRANSLATIONAL SCIENTIST. IF IT'S A VERY BASIC ONE,
15 IT MAY BE MORE APPROPRIATE TO GET A MORE BASIC
16 PERSON, BUT THE BEST QUALITY PERSON THAT IS REALLY
17 AVAILABLE.

18 SO, YES, WITHIN THE FRAMEWORK OF TRYING TO
19 GET THE BEST PERSON, OF COURSE, I'M VERY OPEN TO ANY
20 ASSISTANCE AND SUGGESTION, BUT I THINK WHAT WE WANT
21 IS THE VERY BEST PERSON IN THAT POSITION THAT WE CAN
22 FIND.

23 CHAIRMAN KLEIN: SURE. SO INFORMATIONALLY
24 I KNOW WHEN YOU'RE SEARCHING FOR THESE PEOPLE,
25 YOU'VE ALWAYS DISCUSSED IT IN EXECUTIVE MEETING OR

BARRISTERS' REPORTING SERVICE

1 HAD INFORMAL DISCUSSIONS ABOUT IT. JEFF, I THINK
2 THAT THE OPPORTUNITIES EXIST FOR INFORMAL DISCUSSION
3 AND TRADING IDEAS, AND I THINK IT'S WORKING PRETTY
4 WELL. IS THAT --

5 MR. SHEEHY: YOU KNOW, I THINK WE HAVE A
6 NICE DIALOGUE, DON'T YOU THINK, ALAN? I JUST
7 THINK -- I HAVEN'T TALKED TO JOAN ABOUT THIS, SO I'M
8 NOT EXACTLY SURE. I THINK SHE'S HAD CONVERSATIONS
9 WITH YOU, BUT I THINK MAYBE SOME MORE CONVERSATIONS
10 WITH HER PRIOR TO THE GRANT REVIEWS IF SOMETHING
11 COMES UP. BUT IN GENERAL THE INDIVIDUALS, BOTH IN
12 TERMS OF MEMBERSHIP AND IN TERMS OF CHAIRING THE
13 GRANT REVIEWS, HAVE BEEN EXTRAORDINARY, AND WE'VE
14 BEEN BLESSED. AND I REALLY HAVE TO COMMEND GIL AND
15 THE REST OF THE SCIENTIFIC STAFF. IT'S NO SMALL JOB
16 RECRUITING ALL THESE INDIVIDUALS AND GETTING THEM IN
17 THE CHAIRS. AND SO I THINK IT'S BEEN A PHENOMENAL
18 PROCESS. IT'S WORKED REALLY WELL. I THINK WE HAVE
19 A GOOD DIALOGUE.

20 CHAIRMAN KLEIN: GREAT. SO I JUST TOLD
21 JOAN I WOULD COVER THE SUBJECT, BUT I THINK IT IS,
22 AS JEFF SAYS, A HUGE EFFORT TO GET THE RIGHT CHAIR.
23 I KNOW, ALAN, YOU SPEND A LOT OF TIME ON THAT AS
24 DOES THE REST OF THE STAFF. IT'S VERY TOUGH
25 SOMETIME TO CONVINCING SOMEONE TO TAKE ON THESE MAJOR

BARRISTERS' REPORTING SERVICE

1 ROLES.

2 SO WITH THAT, I THINK WE'VE COVERED THIS
3 SUBJECT TO THE EXTENT THAT WE CAN AT THIS MOMENT.
4 AND I'D LIKE TO MOVE ON TO ITEM 11, IF WE CAN. DR.
5 SAMBRANO, I THINK YOU ARE GOING TO PRESENT THIS ITEM
6 AS WELL.

7 DR. SAMBRANO: RIGHT. SO THIS IS A
8 NOMINATION FOR THE POSITION OF NOW THE CHAIR.
9 ASSUMING THAT THE BYLAWS ARE APPROVED, THIS IS THE
10 PERSON THAT WE WOULD BE NOMINATING TO FILL THAT ROLE
11 AND TO BE APPROVED BY YOU, THE BOARD. AND JUST TO
12 GIVE YOU A LITTLE BIT OF BACKGROUND, WE HAVE A BIO
13 FROM DR. JOHN SLADEK THAT'S IN YOUR NOTEBOOKS UNDER
14 ITEM 11.

15 HE HAS SERVED ON THE GRANTS WORKING GROUP
16 ALREADY FOR APPROXIMATELY TWO YEARS. DURING THE
17 TIME HE HAS BEEN A VERY ACTIVE PARTICIPANT WITH THE
18 WORKING GROUP, AND HE'S ACTUALLY PRESIDED OVER TWO
19 REVIEW MEETINGS AS THE ALTERNATE CHAIR AND DONE A
20 TERRIFIC JOB. HE HAS A VERY BROAD ADMINISTRATIVE
21 EXPERIENCE AND BACKGROUND. HE SERVED AS PRESIDENT
22 AND CEO OF THE CALIFORNIA LUTHERAN UNIVERSITY AS
23 VICE CHANCELLOR FOR RESEARCH AND PROFESSOR OF
24 PSYCHIATRY AND NEUROSCIENCE AT THE UNIVERSITY OF
25 COLORADO AT DENVER HEALTH SCIENCE CENTER WHERE HE IS

BARRISTERS' REPORTING SERVICE

1 CURRENTLY AT AND CONDUCTING RESEARCH ON THE EFFECTS
2 OF DOWN'S SYNDROME AND PARKINSON'S DISEASE.

3 HE HAS BEEN EDITOR IN CHIEF OF THE JOURNAL
4 *EXPERIMENTAL NEUROLOGY* FOR 15 YEARS. HE HAS BEEN
5 INVOLVED IN REGULATORY COMPLIANCE, HAD OVERSIGHT
6 OVER BILLIONS OF DOLLARS IN ANNUAL RESEARCH
7 EXPENDITURES AT THE UNIVERSITY OF COLORADO, AND ALSO
8 HELPED GUIDE SUCCESSFUL CAMPUS INITIATIVES,
9 INCLUDING THE DEVELOPMENT OF A 400-ACRE, \$2 BILLION
10 CAMPUS.

11 SO HE HAS, I THINK, VERY BROAD EXPERIENCE
12 AND HAS BEEN CERTAINLY VERY ACTIVE AND ACCESSIBLE TO
13 THE WORKING GROUP, AND WE FEEL HE WOULD BE AN
14 APPROPRIATE CHOICE FOR THIS POSITION.

15 CHAIRMAN KLEIN: OKAY. IS THERE
16 DISCUSSION? I KNOW A NUMBER OF THE INDIVIDUALS HERE
17 HAVE BEEN IN PEER REVIEW SESSIONS WITH DR. SLADEK
18 BEFORE. AS GIL SAID, HE'S COMMITTED A LOT OF TIME
19 AND ENERGY TO OUR ENTERPRISE AND HAS THE BACKGROUND
20 THAT HE HAS YEARS OF CELLULAR THERAPY TRIALS RELATED
21 TO PARKINSON'S, AS STATED. SO HE'S ONE OF THOSE
22 PEOPLE THAT HAS SOME ACTUAL EXPERIENCE IN THE
23 DOWNSTREAM CLINICAL TRIALS RELATED TO CHRONIC
24 DISEASE WITH CELLULAR THERAPIES.

25 IS THERE ANY ADDITIONAL COMMENTS, DR.

BARRISTERS' REPORTING SERVICE

1 PRIETO OR MR. SHEEHY, OR ANYONE WANT TO MAKE ANY
2 OTHER COMMENTS?

3 MR. SHEEHY: I WOULD JUST SAY THAT DR.
4 SLADEK HAS BEEN A GREAT MEMBER OF THE WORKING GROUP.
5 SO THE TWO SESSIONS HE'S CHAIRED, HE DID A GREAT
6 JOB. THANK YOU. SO I THINK THIS IS A GREAT
7 SELECTION BY STAFF. AND HE'S A GREAT GUY, DON'T YOU
8 THINK, ALAN? I THINK THIS WILL BE GOOD.

9 I WOULD LIKE TO SAY, THOUGH, WE SHOULD
10 TAKE A MOMENT FOR DR. ORKIN WHO HAD FILLED THIS
11 ROLE. HE WAS SUCH A TREMENDOUS PRESENCE. AND YOU
12 GO BACK TO THE EARLY DAYS WHEN WE JUST HAD A LITTLE
13 BIT OF SCOTCH TAPE AND CARDBOARD HOLDING US
14 TOGETHER, AND THIS REALLY WAS A SCIENTIST OF THE
15 HIGHEST ORDER WHO CAME AND REALLY HELPED US SET UP
16 OUR PROCESSES. AND WE OWE A HUGE DEBT OF GRATITUDE
17 TO STU ORKIN FOR THE WORK AND THE LEADERSHIP HE'S
18 PROVIDED US UP TO THIS POINT. BUT I LOOK FORWARD TO
19 DR. SLADEK IN THIS ROLE. THANK YOU.

20 CHAIRMAN KLEIN: YOU KNOW WE'RE GETTING
21 OLDER AS AN ORGANIZATION WHEN WE CAN HAVE
22 REMINISCENCES. SO, DR. PRIETO, ANYTHING TO ADD?

23 DR. PRIETO: NO. I THINK I'D REALLY JUST
24 ECHO WHAT JEFF SAID. I THINK DR. SLADEK IS AN
25 EXCELLENT CHOICE. AND ALSO WOULD LIKE TO

BARRISTERS' REPORTING SERVICE

1 ACKNOWLEDGE WHAT THE REVIEWERS HAVE DONE FOR US,
2 COMING IN FOR VERY LITTLE COMPENSATION, GIVING
3 TREMENDOUSLY OF THEIR TIME, AND THE EFFORT INVOLVED
4 IS REALLY IMPRESSIVE.

5 DR. PENHOET: COULD WE AT SOME POINT MAKE
6 SOME KIND OF OFFICIAL RESOLUTION RELATED TO DR.
7 ORKIN TO RECOGNIZE HIS WORK? IS THAT WHAT YOU
8 WERE --

9 MR. SHEEHY: THAT'S WHERE I WAS HEADED. I
10 JUST DON'T KNOW -- WE DID SOMETHING FOR DR. CHARO, I
11 THINK. I THINK IF WE COULD DO SOMETHING SIMILAR
12 MAYBE AT OUR MEETING FOR DR. ORKIN.

13 CHAIRMAN KLEIN: WE WILL DEFINITELY
14 CALENDAR THAT. IT'S AN OUTSTANDING IDEA.

15 SO AT THIS POINT, DR. SAMBRANO, I THINK
16 THAT WHAT I'D LIKE TO DO IS GO FORWARD TO ITEM 16.
17 PRIOR TO THE MEETING, THERE WAS A SHORT DISCUSSION
18 WHERE I THINK THAT JEFF SHEEHY RAISED SOME ITEMS
19 THAT WE COULD HAVE EXPLAINED IN DISCUSSION THAT
20 WOULD BE HELPFUL TO GET THROUGH THAT PROCESS. THE
21 EARLY TRANSLATIONAL AWARDS IS A CONTINUATION OF A
22 PROGRAM, BUT THIS IS SLIGHTLY DIFFERENT THAN
23 PREVIOUSLY.

24 MS. KING: WE DO NEED TO DO ITEM NO. 15
25 BEFORE NO. 16 CAN BE PRESENTED, I WAS JUST REMINDED.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN KLEIN: WE'RE NOT ACTING, SO WE
2 DON'T NEED TO DO THAT IN THAT ORDER.

3 DR. TROUNSON: SORRY. BECAUSE IT'S THE
4 PREAPPLICATION PROCESS, WE WOULD LIKE THAT
5 CONSIDERED BECAUSE, YOU KNOW, THE EARLY
6 TRANSLATIONAL PROGRAM, IF IT CAN BE A
7 PREAPPLICATION, THEN IT WOULD BE RATHER DIFFERENT TO
8 WHAT WE WOULD -- HOW WE COULD CONSIDER IT.

9 CHAIRMAN KLEIN: IT'S JUST THAT I'M -- I'M
10 TRYING TO JUST MOVE FORWARD WITH ITEMS THAT WE --
11 I'D LIKE TO GET SOME CLARIFICATION OF THIS ITEM. IF
12 YOU'D LIKE, I CAN THEN GO BACK TO ITEM 15 JUST SO
13 THAT EVERYONE UNDERSTANDS WHAT WE'RE DOING BECAUSE
14 WE'RE NOT ACTING ON IT. THE ORDER IS NOT AS
15 SEQUENTIALLY IMPORTANT AS IT OTHERWISE WOULD BE.

16 AND SO I'D JUST LIKE TO HAVE DR. OLSON
17 LEAD US THROUGH THIS EARLY TRANSLATIONAL AWARD SO
18 THAT WE CAN PROVIDE A CONCEPT UNDERSTANDING OF HOW
19 THIS RELATES TO THE OTHER PROPOSALS THAT DR.
20 TROUNSON PREVIOUSLY PRESENTED, CONCEPT APPROVALS
21 THAT WILL BE OUT THERE.

22 DR. OLSON: DR. LYLA COLLINS WILL BE
23 PRESENTING THIS CONCEPT FOR YOUR CONSIDERATION.

24 DR. COLLINS: GOOD EVENING, MR. CHAIRMAN,
25 MEMBERS OF THE BOARD, AND AUDIENCE. TODAY I'D LIKE

BARRISTERS' REPORTING SERVICE

1 TO PRESENT TO YOU THE CONCEPT PROPOSAL FOR YOUR
2 APPROVAL OF THE SECOND CALL OF THE EARLY
3 TRANSLATIONAL RFA.

4 AND, FIRST, I'D LIKE TO ORIENT YOU AS TO
5 WHERE THIS RFA FALLS ON OUR DEVELOPMENTAL PIPELINE.
6 REALLY THE PURPOSE OF THIS RFA IS TO TAKE THESE
7 EXCITING RESEARCH DISCOVERIES THAT WE HEAR ABOUT IN
8 THE PRESIDENT'S REPORT AND PROGRESS THEM TOWARDS THE
9 POINT WHERE THEY CAN EVENTUALLY BECOME TREATMENTS
10 FOR HUMAN DISEASE. NOW, THE EARLY TRANSLATIONAL
11 PART OF THIS PROCESS INCLUDES ACTIVITIES SUCH AS
12 LEAD SELECTION, OPTIMIZING PROCESS, PROCESS
13 DEVELOPMENT, ASSAY DEVELOPMENT, AND THE PRECLINICAL
14 RESEARCH THAT'S REALLY REQUIRED TO ESTABLISH
15 DISEASE-MODIFYING ACTIVITY OF A CANDIDATE THERAPY.

16 SO REALLY AT THE END OF THESE AWARDS, WE
17 EXPECT TO HAVE DEVELOPMENT CANDIDATES READY FOR
18 FURTHER PRECLINICAL DEVELOPMENT AND IND-ENABLING
19 STUDIES.

20 WE PROPOSE TWO TYPES OF AWARDS UNDER THIS
21 RFA, AND REALLY THE MAIN DIFFERENCE BETWEEN THE TWO
22 TYPES OF AWARDS IS WHAT WE WILL HAVE AT THE END OF
23 THE RESEARCH PROJECT. THE FIRST TYPE ARE LARGER
24 AWARDS UNDER THESE DEVELOPMENT CANDIDATE AWARDS.
25 ALL OF THE ACTIVITIES THAT ARE REQUIRED TO RESULT IN

BARRISTERS' REPORTING SERVICE

1 A DEVELOPMENT CANDIDATE, LIKE THE ACTIVITIES THAT I
2 MENTIONED TO YOU IN THE PREVIOUS SLIDE, MUST BE
3 COMPLETED BY THE END OF THE AWARD TO RESULT IN A
4 CANDIDATE THAT'S READY FOR IND-ENABLING STUDIES.
5 WHILE THE SMALLER SORT OF RESEARCH SIZE DEVELOPMENT
6 CANDIDATE FEASIBILITY AWARDS WILL ENCOMPASS A SUBSET
7 OF THESE ACTIVITIES THAT WILL MOVE A POTENTIAL
8 THERAPY TOWARDS A DEVELOPMENT CANDIDATE.

9 AND WE PLAN TO PRIORITIZE PROJECTS THAT
10 WILL RESULT IN CELL-DERIVED THERAPIES, IN PARTICULAR
11 PLURIPOTENT STEM CELL-BASED THERAPIES, UNDER THIS
12 PROGRAM. AND YOU MAY RECALL, AND WAS MENTIONED
13 EARLIER IN THE PRESIDENT'S REPORT, THAT THE FIRST
14 CALL OF THIS RFA INCLUDED A BOTTLENECK CATEGORY OF
15 AWARDS. AND THESE ARE GOING TO BE THE FOCUS OF AN
16 UPCOMING RFA. THIS RFA WILL REALLY FOCUS ON
17 ACHIEVING DEVELOPMENT CANDIDATES.

18 WE DO SUPPORT MULTIDISCIPLINARY EFFORTS
19 AND COLLABORATIVE FUNDING PARTNERSHIPS. SO THIS IS
20 ESPECIALLY IMPORTANT BECAUSE OF THE DIVERSE NATURE
21 OF THE ACTIVITIES THAT ARE REQUIRED IN TRANSLATIONAL
22 EFFORTS. SO WE PROPOSE TO INCLUDE COLLABORATIVE
23 FUNDING PARTNERS UNDER THIS PROGRAM. IN ADDITION,
24 AND THIS IS A CHANGE FROM WHAT YOU HAVE IN YOUR
25 BINDERS, WE'D LIKE TO INCLUDE CO-PI'S FOR THE LARGER

BARRISTERS' REPORTING SERVICE

1 DEVELOPMENT CANDIDATE AWARDS. AND BECAUSE OF THE
2 IMPORTANCE OF THIS PROGRAM IN MAINTAINING OUR
3 PIPELINE, WE'RE REQUESTING A 20-PERCENT EFFORT FOR
4 PI'S AND 15-PERCENT EFFORTS FOR CO-PI'S.

5 AND THE AWARDS WILL BE OPEN -- THEIR
6 COMPETITION, RATHER, WILL BE OPEN TO BOTH ACADEMIC
7 AND FOR-PROFIT INSTITUTIONS. AND WE'LL DISCUSS THE
8 PREAP LATER.

9 THESE ARE OUR PROPOSED FUNDING LEVELS: \$6
10 MILLION FOR THE DEVELOPMENT CANDIDATE AWARDS AND \$2
11 MILLION FOR THE FEASIBILITY AWARDS. AND I'D LIKE TO
12 NOTE THAT THESE ARE TOTAL JUSTIFIABLE PROJECT COSTS,
13 NOT DIRECTS. AND WE'RE PLANNING ON ABOUT TEN AWARDS
14 OF EACH CLASS FOR A TOTAL PROGRAM COST OF \$80
15 MILLION.

16 WE DO PLAN TO OFFER LOANS AS AN AWARD
17 MECHANISM UNDER THIS RFA. AND I'D LIKE TO ASK FOR
18 YOUR CONSIDERATION OF THE FOLLOWING LOAN TERMS. FOR
19 OUR FOR-PROFIT APPLICANTS, WE'D LIKE TO OFFER LOAN
20 OR GRANT AWARD MECHANISMS AS AN OPTION. IF THE LOAN
21 IS SELECTED, THERE ARE REALLY ONE OF FOUR TYPES OF
22 LOANS THAT APPLICANTS WILL HAVE AVAILABLE: EITHER
23 RECOURSE OR NONRECOURSE LOANS AT A SIX-YEAR TERM AT
24 PRIME PLUS 300 OR A TEN-YEAR TERM AT THE PRIME RATE
25 PLUS 500 BASIS POINTS, AND THE RATE WE'LL SET UPON

BARRISTERS' REPORTING SERVICE

1 YOUR APPROVAL OF THESE AWARDS, WHICH WE ANTICIPATE
2 TO OCCUR NEXT FALL IN OCTOBER, AT WHICH TIME ROSA
3 CANET-AVILES WILL PRESENT TO YOU THE RECOMMENDATIONS
4 OF THE GRANTS WORKING GROUP. AND THE REVIEW FOR
5 THAT IS PLANNED FOR EARLY SEPTEMBER, AND WE HOPE TO
6 POST THE RFA IN FEBRUARY OF NEXT YEAR.

7 SO I'D LIKE TO REQUEST YOUR APPROVAL AS
8 SOON AS WE CAN OF THIS CONCEPT PLAN FOR THE EARLY
9 TRANSLATIONAL II PROGRAM.

10 CHAIRMAN KLEIN: OKAY. THANK YOU. NOW,
11 JEFF, THERE WERE SOME CLARIFICATIONS AND SOME
12 ADDITIONAL INFORMATION, I THINK, THAT YOU HAD THE
13 OPPORTUNITY TO DISCUSS WITH DR. TROUNSON AND DR.
14 OLSON IMMEDIATELY BEFORE THIS. AND WE MIGHT WALK
15 THROUGH THOSE FOR THE BENEFIT OF ALL THE BOARD
16 MEMBERS.

17 MR. SHEEHY: I THINK THERE'S SEVERAL OF
18 THEM, BUT A LOT OF THIS WAS JUST CLARIFICATION.
19 PERHAPS I'LL START WITH THE -- GO BACK TO THE FIRST
20 PAGE. WE DON'T -- MAYBE IF YOU LOOK IN YOUR BOOKS
21 WHERE IT SAYS THIS AWARD WILL NOT FUND. AND I THINK
22 WE NEED -- I'M JUST ASKING FOR A LITTLE BIT OF
23 CLARIFICATION BECAUSE, FOR INSTANCE, THE ONE THAT
24 JUMPED OUT AT ME IS RESEARCH ON INDUCTION OF
25 TRANSPLANTATION TOLERANCE, WHICH IT SEEMS TO ME

BARRISTERS' REPORTING SERVICE

1 WOULD BE AN ESSENTIAL FEATURE OF MOST EMBRYONIC OR
2 PLURIPOTENT CELL. CERTAINLY EMBRYONIC STEM CELL
3 THERAPIES WOULD REQUIRE AT LEAST SOME OF THE FUNDING
4 TO THINK ABOUT TRANSPLANTATION TOLERANCE.

5 SO IT'S A LITTLE BIT OF -- IT'S A LITTLE
6 BIT OF LINGUISTIC TECHNICALITY, BUT I THINK WHAT WE
7 WERE SAYING IS THAT THE PRIMARY GOAL OF AN
8 APPLICATION HERE WOULD NOT BE TO ACHIEVE THESE
9 GOALS, WHICH ARE BEING ACHIEVED IN OTHER RFA'S, BUT
10 THAT SOME OF THE COMPONENTS THAT ARE LISTED HERE,
11 SOME OF THE FEATURES THAT ARE LISTED HERE WOULD
12 NECESSARILY BE COMPONENTS OF AN APPLICATION. AM I
13 MAKING SENSE?

14 SO I DID ASK FOR AND DR. OLSON GRACIOUSLY
15 PROVIDED CLARIFICATION, BUT IT WAS -- BECAUSE WHEN
16 YOU SAY YOU WILL NOT FUND, IT MEANS IT'S NOT GOING
17 TO BE PART OF THE APPLICATION. I THINK WILL NOT
18 FUND. AM I RECAPITULATING THIS CORRECTLY?

19 DR. OLSON: YEAH. LET ME JUST ADD TO
20 JEFF. I THINK THE GOAL HERE WAS, AS YOU KNOW, WE
21 CURRENTLY HAVE A STEM CELL TRANSPLANTATION TOLERANCE
22 RFA OUT. AND THE GOAL OF THAT IS ESSENTIALLY NEW
23 TOLERANCE INDUCTION STRATEGIES. WHAT I WANT TO
24 CLARIFY AND WHAT I THINK JEFF WAS RIGHTLY CONCERNED
25 ABOUT IS FOR THOSE APPLICATIONS, FOR EXAMPLE, UNDER

BARRISTERS' REPORTING SERVICE

1 THIS EARLY TRANSLATION II AWARD WHERE IF YOU HAD AN
2 ALLOGENEIC CELL THERAPY, OBVIOUSLY IMMUNOSUPPRESSION
3 OR SOME SORT OF TOLERANCE IS A COMPONENT OF THAT.
4 SO TO CONDUCT PRECLINICAL STUDIES THAT ADDRESS, SAY,
5 AN IMMUNOSUPPRESSION REGIMEN IN THE CONTEXT OF YOUR
6 PROPOSED DEVELOPMENT CANDIDATE WOULD BE SOMETHING
7 THAT COULD BE FUNDED UNDER THIS AWARD. IT JUST IS
8 WE DID NOT WANT THIS AWARD TO BE TOTALLY FOCUSED ON
9 NEW STRATEGIES FOR TOLERANCE INDUCTION BECAUSE THAT
10 IS THE SUBJECT OF A CURRENT RFA.

11 MR. SHEEHY: I THINK THAT REFERS TO SOME
12 OF THE OTHER STUFF, TUMOROGENICITY OR GM PROCESS
13 SCALE-UP, THAT THE MAIN TARGET OF THE GRANT WOULD
14 NOT BE TO ADDRESS THESE BOTTLENECKS OR THESE ISSUES;
15 BUT IN THE CONTEXT OF DEVELOPING YOUR THERAPY, YOU
16 MIGHT NECESSARILY HAVE TO ADDRESS SOME OF THESE
17 ISSUES AND THEY WOULD BE ELIGIBLE FOR FUNDING AS A
18 PORTION.

19 DR. OLSON: I MEAN FOR THE GLP,
20 TUMOROGENICITY STUDIES, THOSE ARE VERY EXPENSIVE
21 STUDIES. SO THE THINGS HE'S CITING THERE ARE VERY
22 EXPENSIVE STUDIES THAT ARE TYPICALLY CONDUCTED ONLY
23 WHEN A DECISION HAS BEEN MADE TO ACTUALLY MOVE A
24 COMPOUND INTO IND-ENABLING PRECLINICAL DEVELOPMENT.
25 SO THAT'S WHY THEY ARE IN A STAGE FURTHER.

BARRISTERS' REPORTING SERVICE

1 IF YOU LOOK AT THIS PARTICULAR CARTOON
2 HERE, THOSE ARE THE KINDS OF ACTIVITIES THAT ARE
3 TYPICALLY CONDUCTED HERE AND WILL BE INCLUDED IN A
4 SUBSEQUENT DISEASE TEAM RESEARCH AWARD AND ARE
5 ACTUALLY CURRENTLY INCLUDED IN THE DISEASE TEAM
6 RESEARCH AWARD NOW.

7 THE GOAL OF THIS PROGRAM IS TO FUND
8 ACTIVITIES IN THIS SPACE. EITHER THOSE THAT WILL
9 GET TO A DEVELOPMENT CANDIDATE OR THOSE THAT WILL
10 CONDUCT RESEARCH AND ACTIVITIES THAT WILL MOVE YOU
11 ALONG THE PATH TO A DEVELOPMENT CANDIDATE.

12 CHAIRMAN KLEIN: RIGHT. AND SO, DR.
13 OLSON, AS RELATES TO BOTTLENECKS, I THINK YOU
14 PREVIOUSLY HAVE SAID, AND JUST TO EMPHASIZE FOR THE
15 BOARD, WE HAVE A TOOLS, TECHNOLOGY, AND BOTTLENECK
16 RFA --

17 DR. OLSON: THAT WILL BE COMING UP.

18 CHAIRMAN KLEIN: -- WILL BE COMING UP.
19 BUT IF THERE IS A BOTTLENECK THAT'S IDENTIFIED
20 WITHIN THE PROCESS OF GETTING TO THE DEVELOPMENT
21 CANDIDATE, IT'S A SUBSIDIARY PART OF THAT PROCESS.
22 IT'S NOT INTENDED TO ELIMINATE OVERCOMING IDENTIFIED
23 HURDLES THAT SOMEONE MIGHT REFER TO AS A BOTTLENECK
24 AS A PART OF AN OTHERWISE GRANT THAT IS PRIMARILY
25 FOCUSED ON A DEVELOPMENT CANDIDATE.

BARRISTERS' REPORTING SERVICE

1 DR. OLSON: LET ME CLARIFY. I MEAN THE
2 FOCUS OF THESE AWARDS IS ACHIEVING A SPECIFIC
3 CANDIDATE, MOVING A SPECIFIC CANDIDATE ALONG. THE
4 FOCUS OF A BOTTLENECK OR TOOLS AND TECHNOLOGIES
5 TENDS TO BE MORE GENERALLY APPLICABLE ACROSS AN
6 AREA. THIS IS NOT TO SAY THAT IF YOU DEVELOP A
7 CERTAIN KIND OF ASSAY IN THE CONTEXT OF TRYING TO
8 MOVE YOUR SPECIFIC CANDIDATE ALONG, IT MIGHT NOT
9 HAVE MORE GENERAL APPLICABILITY, BUT IT REALLY IS A
10 SCOPE QUESTION, SPECIFIC VERSUS GENERAL. MR. CHAIR,
11 I BELIEVE YOU HAVE SEVERAL --

12 CHAIRMAN KLEIN: YES.

13 DR. PENHOET: CAN YOU CLARIFY FOR ME WHAT
14 THE DIFFERENCE IS BETWEEN A CANDIDATE FEASIBILITY
15 STUDY AND A CANDIDATE STUDY BECAUSE IT SEEMS TO ME
16 IN THIS CONVERSATION YOU'RE SORT OF BLENDING TWO
17 THINGS.

18 DR. OLSON: OKAY. SO BY THE END OF THE
19 DEVELOPMENT CANDIDATE AWARD, I EXPECT THE APPLICANT
20 OR THE SUCCESSFUL AWARDEE TO HAVE COMPLETED ALL THE
21 NECESSARY ACTIVITIES TO ESSENTIALLY GO INTO
22 IND-ENABLING DEVELOPMENT. THOSE ACTIVITIES INCLUDE
23 CMC, SO CHEMISTRY, MANUFACTURING, AND CONTROLS-TYPE
24 ACTIVITIES, WHICH HAVE TO DO WITH HAVING A MOLECULE
25 OR A CELL OR WHATEVER CAPABLE OF MOVING INTO GMP.

BARRISTERS' REPORTING SERVICE

1 THERE'S CERTAIN THINGS ASSOCIATED WITH THAT. THAT
2 INCLUDES HAVING IDENTIFIED DISEASE-MODIFYING
3 ACTIVITY. THAT INCLUDES HAVING CERTAIN ASSAYS
4 DEVELOPED. SO IT'S A SET OF ACTIVITIES THAT REALLY
5 NEED TO BE DONE BEFORE YOU CAN TALK ABOUT EVEN
6 GOING -- BEFORE IT EVEN MAKES SENSE TO CONSIDER
7 PRECLINICAL DEVELOPMENT.

8 WHAT WE'VE SEEN IN A LOT OF OUR PREAPS AND
9 SUCH IS PEOPLE WANTING TO DO SOME PARTS OF THAT.
10 THEY MAY NOT WANT TO DO ALL THE WORK TO IDENTIFY A
11 GMP-COMPATIBLE CELL LINE. THEY MAY BE MORE
12 INTERESTED IN ADDRESSING SOME MECHANISM OF ACTION
13 STUDIES FOR THEIR CANDIDATE. DOES IT DO WHAT I
14 THINK IT'S GOING TO DO? THEY MAY BE INTERESTED IN
15 ADDRESSING THE DISEASE-MODIFYING ACTIVITY. WE THINK
16 THOSE ARE IMPORTANT TOO IN ESSENTIALLY MOVING THINGS
17 ALONG THE PATH. PERHAPS IF THEY GET THAT DONE, THEN
18 THEY WILL FIND, SAY, A COMPANY OR SOMEONE WHO IS
19 MORE INTERESTED IN THESE OTHER ASPECTS TO
20 COLLABORATE WITH THEM AND MOVE THEM FORWARD. SO WE
21 WANTED TO MAKE BOTH TYPES OF AWARDS AVAILABLE.

22 DR. BRYANT: I JUST WONDERED IF IT COULD
23 BE SOLVED BY A CHANGE IN THE WORDING TO SAY ALTHOUGH
24 THESE ITEMS WILL BE NOT THE MAJOR FOCUS OF THESE
25 GRANTS -- SORRY. THE OTHER WAY AROUND -- ALTHOUGH

BARRISTERS' REPORTING SERVICE

1 SOME OF THESE ITEMS MAY APPEAR IN THE STUDIES, THEY
2 WILL NOT BE ALLOWED TO BE THE FOCUS OF THE GRANT,
3 SOMETHING LIKE THAT, SO THEY'RE NOT THE PRIMARY
4 FOCUS, BUT IT DOESN'T MEAN YOU CAN'T PROHIBIT THEM
5 IF THEY COME UP.

6 DR. TROUNSON: THAT'S WHAT I WAS GOING TO
7 SUGGEST. AND IF THE TENOR OF THAT WAS WHAT WE'RE
8 SEEKING TO DO, WE WOULD GET THE WORDS TOGETHER FOR
9 THAT INTENTION, IF THAT WOULD SUIT, AND BRING IT
10 FORWARD TOMORROW MORNING FOR YOU TO LOOK AT.

11 CHAIRMAN KLEIN: I THINK THAT WOULD BE
12 VERY HELPFUL. DUANE ROTH.

13 MR. ROTH: I HAVE A SIMILAR CONCERN. I
14 UNDERSTAND WHAT YOU'RE TRYING TO DESCRIBE, BUT I'M A
15 LITTLE TROUBLED BY TRYING TO MAKE THIS BOX TOO
16 TIGHT. I WOULD HATE TO SEE US TAKE THINGS THAT ARE
17 PARTIALLY THROUGH THAT DISCOVERY RESEARCH AND SAY IF
18 IT'S SPILLED OVER INTO EARLY PRECLINICAL
19 DEVELOPMENT, LIKE TUMOROGENICITY, STUDIES THAT WOULD
20 BE MAYBE NOT GLP, BUT CERTAINLY WOULD BE AIMED AT A
21 CANDIDATE, I WOULD LIKE TO MAKE SURE WE DON'T
22 ELIMINATE, ESPECIALLY IN LIGHT OF THE CONVERSATION
23 THAT WE HAD EARLIER TODAY, WHERE I THINK A LOT OF
24 THE PEOPLE WHO JUST DIDN'T FIT INTO THE DISEASE TEAM
25 BOX WERE LOOKING AT THIS GRANT AS BEING A

BARRISTERS' REPORTING SERVICE

1 POSSIBILITY FOR THEM.

2 DR. OLSON: PERHAPS IT'S HARD TO DESCRIBE
3 ALL OPTIONS. CERTAINLY PEOPLE DO TRIAL DOSE FINDING
4 STUDIES. PEOPLE WILL DO TRIAL TUMORGENICITY
5 STUDIES. IT'S THE SPECIFIC GLP-TYPE STUDIES DONE
6 WITH THE PROCESS THAT'S INTENDED TO GO FORWARD INTO
7 THE CLINIC THAT ARE THE STUDIES THAT I DON'T THINK
8 ARE APPROPRIATE FOR THIS TYPE OF AWARD. BUT TRIAL
9 DOSE FINDING STUDIES, YOU KNOW, TRIALS STARTING TO
10 ADDRESS TERATOMA FORMATION, I MEAN YOU'D BE SILLY
11 NOT TO LOOK AT THAT EARLY ON. IT'S THE GLP STUDIES
12 I'M PARTICULARLY -- THAT I THINK ARE REALLY VERY BIG
13 DOLLAR STUDIES AND ACTUALLY IMPLY A DECISION TO MOVE
14 TO -- THE DECISION HAS BEEN MADE TO MOVE TO IND. I
15 HOPE THAT THAT ADDRESSES YOUR CONCERN.

16 MR. ROTH: THERE IS AN ASSUMPTION THAT
17 THOSE ARE VERY EXPENSIVE, BUT I THINK YOU ARE GOING
18 UP TO SIX MILLION WITH THIS. THEY MAY HAVE OTHER
19 MONEY WITH IT; BUT IF IT'S SOMETHING THAT CAN MOVE
20 THIS FIELD AHEAD AND YOU CAN LOOK AT THAT, AND I
21 THINK IT'S THE WILL NOT FUND LANGUAGE.

22 DR. TROUNSON: I THINK WE'VE TAKEN NOTE,
23 AND WE'LL TRY AND BRING SOMETHING THAT'S MORE
24 PALATABLE.

25 MR. ROTH: BUT I'M EVEN ANXIOUS TO SEE IT

BARRISTERS' REPORTING SERVICE

1 A LITTLE MORE BROADER IF WE CAN.

2 DR. TROUNSON: THE OTHER THING, CHAIR, IS
3 THAT I'M WORKING WITH THE STAFF ON LOOKING INTO THE
4 POSSIBILITY OF THE MANUFACTURING AREAS FOR SOME OF
5 THE CELLS THAT ARE BEGINNING TO EITHER ENTER THE
6 CLINIC OR BECOME PART OF A MAJOR DISEASE TEAM WHERE
7 THAT COMPONENT PART IS DIFFICULT TO FIT INTO THE
8 RFA'S AND STILL BE COMPLEMENTARY TO THE AIM OF THE
9 KIND OF PRECLINICAL ANIMAL STUDIES. FOR EXAMPLE, IF
10 YOU GOT TO SPEND A LOT OF YOUR TIME DEVELOPING
11 MANUFACTURING AND YOUR CELL BASE FOR YOUR ANIMAL
12 STUDIES, IF IT TAKES YOU TWO YEARS TO DO THAT,
13 THERE'S VERY LITTLE TIME FOR THE TWO YEARS,
14 PARTICULARLY IN LARGE ANIMALS, TO GET THROUGH THE
15 REST OF IT.

16 SO I'M WORKING WITH PAT AND OTHER MEMBERS
17 OF CIRM TO SEE IF WE CAN ACTUALLY BRING FORWARD AN
18 OPPORTUNITY THAT MIGHT USEFULLY ADDRESS THAT
19 PARTICULAR ISSUE, WHICH SEEMS TO EXIST WITHOUT AN
20 EASY SOLUTION.

21 CHAIRMAN KLEIN: SO AS THIS WOULD BE A
22 SEPARATE RFA, JUST TO BE CLEAR, THAT MAY PROCEED ON
23 A FASTER TRACK IF THAT'S VIEWED AS AN OBSTACLE TO
24 MOVING SOME OF THESE THERAPIES DOWNSTREAM AND
25 HELPING A NUMBER OF DEVELOPMENT CANDIDATES.

BARRISTERS' REPORTING SERVICE

1 DR. STEWARD: I GUESS I JUST WANT TO
2 AMPLIFY A LITTLE BIT ON WHAT DUANE SAID AND I THINK
3 SUE AS WELL. THE CONCEPT OF BOXING THIS IN TOO
4 TIGHTLY BOTHERS ME JUST A LITTLE BIT. I UNDERSTAND
5 THE NEED TO SPECIFY, BUT WHAT I GUESS I WOULD
6 ENCOURAGE IN PRINCIPLE IS AN EXPLANATION OF WHAT THE
7 EARLY TRANSLATIONS AWARDS ARE TARGETED FOR AND LESS
8 LANGUAGE ABOUT WHAT THEY'RE NOT GOING TO FUND. I
9 JUST THINK WE MIGHT MISS OUT ON SOME REALLY GOOD
10 THINGS IF YOU SAY IN ADVANCE WE'RE NOT GOING TO
11 CONSIDER XYZ. AND THE PI'S MIGHT BE VERY CREATIVE
12 IN HOW THEY PUT THESE THINGS TOGETHER AND SURPRISE
13 US WITH SOME VERY EXCITING THINGS.

14 DR. TROUNSON: NO, THEY'RE VERY CREATIVE.
15 THAT'S FOR SURE. WE JUST DIDN'T WANT -- AS YOU
16 KNOW, IF WE'RE MOVING THROUGH A REVIEW, IT'S BETTER
17 TO HAVE THOSE LIKE PROJECTS IN LIKE RFA'S.

18 DR. STEWARD: I WOULD UNDERSTAND THAT.

19 DR. TROUNSON: BECAUSE IT GIVES US A
20 BETTER MEASURE OF ONE AGAINST THE OTHER. SO THE
21 BOXING IS REALLY FOR REVIEW PURPOSES AND OUR
22 PURPOSES TO GET A BETTER HANDLE AND LET THE
23 PEOPLE -- REALLY LET THE PEOPLE KNOW A BIT MORE
24 ABOUT WHAT WE'RE REALLY SORT OF SEEKING. BUT WE
25 TAKE THE POINT, THAT THERE SHOULD BE SUFFICIENT

BARRISTERS' REPORTING SERVICE

1 FLEXIBILITY NOT TO BE ULTIMATELY CONSTRAINING OUR
2 MISSION ESSENTIALLY.

3 DR. OLSON: I WOULD LIKE TO MAKE ONE
4 ADDITIONAL COMMENT. I WOULD JUST REMIND YOU ALL
5 THAT IN THE DISEASE TEAM AWARD THAT YOU JUST FUNDED,
6 THAT POINT RIGHT THERE, SO PROJECTS, YOU MAY RECALL
7 THAT OUR FIRST DISEASE TEAM AWARD OVERLAPPED A
8 LITTLE BIT WITH EARLY TRANSLATIONAL. SO IT STARTED
9 MORE OR LESS BACK HERE AND MOVED FORWARD. THAT
10 POINT RIGHT THERE IS THE SUBJECT OF WHAT WE'RE
11 CALLING AN EXTERNAL EVALUATION COMMITTEE. IT'S
12 CONSIDERED A GO/NO-GO DECISION POINT.

13 SO I WAS TRYING TO AVOID THE COMPLEXITY OF
14 INTRODUCING -- I MEAN I FEEL THAT IF WE HAVE THINGS
15 MOVE INTO THIS STAGE, THAT YOU HAVE TO HAVE THE SAME
16 CRITERIA. THE AWARDS WOULD HAVE TO HAVE COMPARABLE
17 CRITERIA. SO I JUST WANTED TO SHARE A LITTLE BIT
18 THE REASONING. AND I THINK ALAN HAS, I THINK,
19 PICKED UP ON THAT. WE TRY AND PUT THINGS TOGETHER
20 THAT SEEM APPROPRIATE BREAKS. AND I DO APPRECIATE
21 THE FACT THAT IT'S HARD TO STOP THINGS OR THAT THEY
22 GO TO A CERTAIN POINT. THIS JUST SAYS THE PROJECTS
23 DON'T HAVE TO STOP. WE'RE FUNDING THROUGH A CERTAIN
24 POINT IN THIS AWARD. THEY CAN APPLY FOR A DISEASE
25 TEAM AWARD AND PICK UP AND MOVE FORWARD.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN KLEIN: I THINK THAT, DR. OLSON,
2 THAT THE TENOR HERE IS THAT WE RECOGNIZE WE HAVE A
3 TREMENDOUS SCIENTIFIC STAFF, AND WE'RE
4 OPPORTUNISTICALLY BIASED SO THAT WE WANT YOU TO HAVE
5 THE AUTHORITY, IF YOU SEE SOMETHING OF TREMENDOUS
6 OPPORTUNITY, THAT WHILE THESE ARE NOT THE FOCUS OF
7 THE AWARD, IF THEY INCLUDE THESE ELEMENTS, TO HAVE
8 YOU EMPOWERED TO BE ABLE TO MAKE THAT KIND OF
9 RECOMMENDATION. I THINK THAT'S STATED.

10 NOW, MR. SHEEHY, DID YOU HAVE ANY OTHER
11 COMMENTS?

12 MR. SHEEHY: I DID. SORRY. I APOLOGIZE
13 IN ADVANCE. RIGHT ABOVE THAT WHERE IT SAYS CIRM
14 WILL PRIORITIZE PROJECTS, I REALLY THINK WE SHOULD
15 STRIKE INELIGIBLE FOR OR UNLIKELY TO RECEIVE FUNDING
16 FROM THE UNITED STATES FEDERAL GOVERNMENT BECAUSE
17 THE FEDERAL BAN HAS BEEN LIFTED, AND I THINK THAT'S
18 VERY CONFUSING AND IMPLIES THAT WE'RE GOING TO BE
19 DOING -- FRANKLY, THAT WE'RE GOING TO BE CREATING
20 NEW STEM CELL LINES, WHICH WOULD NOT BE APPROPRIATE
21 FOR THIS RFA, I DON'T THINK.

22 AND THE OTHER, RIGHT ABOVE THAT, AND I
23 THINK THIS IS A LARGER POLICY QUESTION. I'LL JUST
24 PRESENT MY BIAS UP FRONT. WE'RE GIVING A PRIORITY
25 TO PLURIPOTENT STEM CELLS. I PERSONALLY WOULD

BARRISTERS' REPORTING SERVICE

1 ALMOST -- I WOULD MULTIPLY THE PRIORITY. I'D
2 ALMOST -- BECAUSE I DO TAKE TO HEART SOME OF THE
3 COMMENTS THAT WERE MADE IN THE *NEW YORK TIMES*
4 REGARDING THE DISEASE TEAM APPLICATIONS AND SOME OF
5 THE CONCERN THAT WE MAY HAVE BEEN STRAYING FROM OUR
6 CORE -- OUR ORIGINAL MISSION WAS TO FUND WHAT THE
7 FEDS WOULDN'T FUND. AND I DO RECOGNIZE IN THE
8 DISEASE TEAM AREA THAT WE NEED TO FUND THINGS THAT
9 ARE VERY LIKELY TO LEAD TO THERAPIES, AND THAT
10 INCLUDES THE WHOLE PANOPLY OF STEM CELL THERAPIES.
11 HOWEVER, I THINK MAYBE THIS IS A PLACE WHERE, IF WE
12 WANTED TO PUT OUR MARKER DOWN AND SAY THAT WE REALLY
13 ARE GOING TO FOCUS, ONE OF OUR CORE MISSIONS IS TO
14 MOVE THE PLURIPOTENT THERAPY FIELD FORWARD, THAT WE
15 REALLY, YOU KNOW, MAKE THIS A MAJOR PART OF WHAT
16 WE'RE DOING HERE BECAUSE WE'RE NOT GOING TO HAVE
17 CANDIDATES. THIS SEEMS, FIRST OF ALL, TO BE WHERE A
18 LOT OF THE SCIENCE IS AT THIS TIME. AND IF WE'RE
19 NOT GOING TO HAVE THE CANDIDATES IN PLURIPOTENT
20 CELLS, FORCED PLURIPOTENT CELL TRIALS, IF WE DON'T
21 START REALLY MOVING THEM HERE NOW, I KNOW WE HAVE
22 THAT PRIORITY, BUT I'D ALMOST TAKE IT TO A HIGHER
23 LEVEL AND REALLY TRY TO MAKE THAT ALMOST THE
24 EXCLUSIVE FOCUS OF THIS, TO HAVE CELL THERAPIES
25 MOVING FORWARD. THAT'S JUST MY PERSONAL, I'M NOT A

BARRISTERS' REPORTING SERVICE

1 SCIENTIST, BUT MY PERSONAL BIAS.

2 CHAIRMAN KLEIN: JEFF, I ALSO KNOW YOU'RE
3 VERY FOCUSED ON ADDRESSING PATIENT NEEDS. AND IN A
4 TIMING SENSE, THIS IS GOING TO COME UP FOR FUNDING
5 AT THE END OF THIS NEXT YEAR. AT THAT TIME THE \$10
6 BILLION BOLUS OF THE NIH WILL ESSENTIALLY HAVE BEEN
7 SPENT. AND WHETHER OR NOT THERE'S ANY RENEWED
8 FUNDING IS HIGHLY IN QUESTION. SO THERE MAY BE SOME
9 VERY IMPORTANT THERAPEUTIC CANDIDATES THAT ARE,
10 WHETHER THEY'RE CORD BLOOD BASED OR WHETHER THEY'RE
11 MESENCHYMAL CELLS, STATE THE PRIORITY, BUT GIVING
12 OURSELVES THE OPPORTUNITY TO RESPOND TO THESE OTHER
13 THERAPIES WHEN THERE MAY BE A SCARCITY OF OTHER
14 FUNDING AVAILABLE, I THINK, IS AN IMPORTANT FOCUS IN
15 OUR COMMITMENT TO PATIENTS THAT YOU'VE ALWAYS BEEN
16 VERY CENTERED ON.

17 MR. SHEEHY: AND, YOU KNOW, OBVIOUSLY AS
18 AN HIV ADVOCATE, WE'RE REALLY LOOKING AT ADULT STEM
19 CELLS. BY MAKING THIS POLICY RECOMMENDATION, I'M
20 PRETTY MUCH CUTTING MYSELF OUT OF THE GAME AT LEAST
21 AT THIS POINT. BUT IT DOES POINT TO A QUESTION OF
22 IDENTITY AND PHILOSOPHICALLY WHAT WE WANT OUR FOCUS
23 TO BE, AT LEAST AT THIS STAGE IN THE DEVELOPMENTAL
24 PIPELINE. IT SEEMS TO ME THAT THIS IS A PLACE THAT
25 THE NIH IS GOING TO -- IT'S GOING TO TAKE THEM A

BARRISTERS' REPORTING SERVICE

1 LONG TIME TO REALLY FIGURE OUT HOW TO GET INTO THIS
2 SPACE IN A MAJOR WAY GIVEN THAT THEY HAVEN'T DONE
3 VERY MUCH PLURIPOTENT CELL FUNDING AT THIS POINT,
4 ESPECIALLY EMBRYONIC STEM CELLS. THEY'RE STILL
5 LEARNING HOW TO DO THAT AT THIS POINT. WE HAVE A
6 LOT OF EXPERIENCE FUNDING EMBRYONIC STEM CELLS.
7 THIS IS A PLACE WHERE WE CAN START TO REALLY DRIVE
8 THE FIELD FORWARD, AND THIS SEEMS LIKE A TIME AND
9 THE PLACE TO MAKE THAT -- TO MAYBE GIVE A BIG PUSH.

10 NOW, THAT'S JUST MY -- WE MAY BE LEAVING
11 OTHER ADULT STEM CELL THERAPIES OUT THERE, BUT WE
12 CAN'T FUND EVERYTHING IN THE WORLD. WHAT REALLY --
13 WHY ARE WE HERE? IT'S AN EXISTENTIAL QUESTION.

14 CHAIRMAN KLEIN: DR. TROUNSON AND THEN DR.
15 PRIETO.

16 DR. TROUNSON: WELL, JUST IN RESPONSE TO
17 THAT, I THINK THE MISSION, THE MISSION SORT OF
18 DRIVES US PRETTY MUCH AT THE MOMENT, THAT THE
19 DISCOVERIES NEED TO GET THROUGH TO THE CLINIC. SO
20 THE PRIORITY IS CLEAR, THAT I THINK WE SHOULD KEEP
21 THE PRIORITY ON THE PLURIPOTENTIAL STEM CELLS. BUT
22 THERE IS -- YOU KNOW, WE HAVE CANCER STEM CELLS.
23 THERE ARE SOME THINGS HERE THAT ARE AN OPPORTUNITY,
24 A REAL OPPORTUNITY TO DO SOMETHING VERY SPECIAL IN A
25 DISEASE SITUATION THAT I DON'T THINK WE SHOULD, YOU

BARRISTERS' REPORTING SERVICE

1 KNOW, SAY IT'S RULED OUT BASICALLY BECAUSE WE'RE
2 ONLY DOING PLURIPOTENTIAL STEM CELLS. I THINK
3 MISSION SAYS THAT WE REALLY NEED TO GET THE
4 DISCOVERIES THROUGH THE CLINIC.

5 AND I AGREE TO THE PRIORITY, BUT I'M NOT
6 SO -- I'M MORE OPEN ABOUT LETTING SOMETHING THAT
7 MIGHT SUDDENLY APPEAR VERY SPECIAL FOR SOME
8 CONSIDERATION. AND IF IT'S NOT A PRIORITY, IT'S
9 OBVIOUSLY GOING TO HAVE TO BE SPECIAL. AND I THINK
10 THAT'S WHAT -- THAT'S THE WAY WE SHOULD BE THINKING
11 ABOUT THIS PARTICULAR PROGRAM.

12 DR. PRIETO: RATHER THAN STRIKING THAT
13 SECOND OR THE THIRD POINT UNDER THE PROJECTS WILL
14 PRIORITIZE, TO RECOGNIZE SORT OF OUR SPECIAL
15 POSITION SITUATION TO SAY THAT WE'LL PRIORITIZE
16 THOSE THAT ARE INELIGIBLE FOR OR UNLIKELY TO RECEIVE
17 FUNDING FROM OTHER SOURCES BECAUSE I DON'T THINK WE
18 CAN SINGLE OUT THE FEDERAL GOVERNMENT ANYMORE, BUT
19 WE'RE STILL DOING SOMETHING THAT NOT MANY OTHER
20 PEOPLE WILL FUND, HOPEFULLY.

21 CHAIRMAN KLEIN: SO WHAT IS THE NATURE OF
22 YOUR RECOMMENDATION, DR. PRIETO?

23 DR. PRIETO: RATHER THAN SAY THE UNITED
24 STATES FEDERAL GOVERNMENT, THAT WE JUST SAY
25 INELIGIBLE FOR OR UNLIKELY TO RECEIVE FUNDING FROM

BARRISTERS' REPORTING SERVICE

1 OTHER SOURCES.

2 CHAIRMAN KLEIN: SO CERTAINLY IN OUR
3 CHARTER, WE SPECIFICALLY DEAL WITH NOT ONLY WHETHER
4 THE FUNDING WILL OCCUR, BUT WHETHER IT WILL BE
5 TIMELY AND WHETHER THERE WILL BE SUFFICIENT FUNDING.
6 SO IF THE NIH IS GOING TO FALL BACK TO A SITUATION
7 WHERE THEY CAN ONLY FUND 5 PERCENT OF THE NEW
8 APPLICATIONS, WE DON'T WANT TO BE IN THAT BOX. SO I
9 WOULD THINK THAT WE REALLY NEED TO PROVIDE THE STAFF
10 MORE ROOM HERE AGAIN ON AN OPPORTUNISTIC BASIS TO
11 SAY WE'RE PRIORITIZING PROJECTS WHERE IT IS
12 INELIGIBLE OR UNLIKELY TO RECEIVE SUFFICIENT FUNDING
13 IN A TIMELY MANNER, WHICH TRACKS WITH THE
14 INITIATIVE, BECAUSE TIMING IS CRITICAL TO PATIENTS
15 AND CRITICAL TO WHETHER WE BREAK THE BACK OF A
16 MOMENTUM OF A PARTICULAR TEAM THAT REALLY MAY HAVE
17 SOME QUITE EXCITING WORK IN PROGRESS.

18 DR. PENHOET: I HATE TO BELABOR THIS
19 POINT, BUT I'M GOING TO ANYWAY. YOU KNOW, ALL THESE
20 NICE WORDS DON'T MEAN ANYTHING UNLESS YOU HAVE AN
21 ALGORITHM IN PLACE THAT'S GOING TO SAY WHAT
22 PRIORITIZATION MEANS OR WHAT SOMEBODY ELSE WON'T
23 FUND MEANS BECAUSE OTHERWISE THEY'RE JUST WORDS THAT
24 WE BANTER AROUND HERE. BUT IF YOU DON'T KNOW DOES
25 PRIORITY MEAN YOU ARE GOING TO DEDUCT THE SCORE BY

BARRISTERS' REPORTING SERVICE

1 20 POINTS IF SOMEBODY IS NOT A PLURIPOTENT STEM
2 CELL, IT BECOMES -- I'M NOT QUITE SURE HOW YOU PARSE
3 THIS THING IN THAT SENSE BECAUSE YOU'VE ALREADY
4 PARSED IT A COUPLE OF WAYS. YOU PARSED IT AND SAID
5 YOU'VE MADE THE BOX NARROW AS YOU CAN, PARSED IT
6 ANOTHER WAY, DIVIDING THINGS BETWEEN THOSE WHICH ARE
7 FEASIBILITY STUDIES AND THOSE WHICH ARE NOT, AND
8 THEN YOU'RE GOING TO TRY TO PARSE IT AGAIN BETWEEN
9 THINGS WHICH CAN'T BE FUNDED BY SOMEBODY ELSE OR
10 POSSIBLY WOULDN'T BE AND THEN PARSE IT AGAIN BY, YOU
11 KNOW, WHETHER OR NOT IT'S PLURIPOTENT STEM CELLS.
12 IT'S AN OPERATIONAL ISSUE.

13 SO UNLESS YOU HAVE SOME REAL GUIDELINES
14 ABOUT THAT, THESE WORDS DON'T MEAN VERY MUCH.

15 CHAIRMAN KLEIN: DR --

16 DR. PENHOET: I DON'T KNOW HOW -- WHAT
17 PRIORITIZE PLURIPOTENT STEM CELLS MEANS.

18 DR. OLSON: IT MEANS --

19 DR. PENHOET: YOU NEED AN OPERATIONAL
20 DEFINITION OF HOW YOU'RE ACTUALLY GOING TO WORK THE
21 PROBLEM WHEN YOU'RE FACED WITH A BUNCH OF GRANTS.

22 DR. OLSON: IF WE HAD A PRIORITY, WE WOULD
23 TELL THE REVIEWERS THAT. WE WOULD EMPHASIZE IT AS A
24 PRIORITY. IF YOU REALLY WANT TO ENSURE, YOU MAKE IT
25 A REQUIREMENT. I'M NOT SURE YOU WANT TO DO THAT. A

BARRISTERS' REPORTING SERVICE

1 REQUIREMENT THEN MEANS WE DON'T ACCEPT APPLICATIONS
2 THAT DO COME IN, BUT OTHERWISE IT ENDS UP BEING THE
3 DISCRETION OF THE REVIEWERS WHERE WE CAN EMPHASIZE
4 PRIORITIES AND WE CAN PUT IT INTO REVIEW CRITERIA AS
5 FAR AS POSSIBLE, BUT THAT'S WHAT IT COMES DOWN TO.

6 CHAIRMAN KLEIN: DR. PENHOET, ON --

7 DR. PENHOET: I'M NOT ARGUING FOR MAKING
8 IT MORE RIGID, BUT I AM SAYING IT LEAVES -- IF I'M A
9 REVIEWER AND I'M READING THE QUALITY OF A GRANT, I'M
10 SUPPOSED TO HAVE SOME BIAS TOWARDS MARKING IT DOWN
11 AND YOU LEAVE IT TO MY DISCRETION TO DO THAT.
12 THAT'S WHAT YOU ARE SAYING THE OPERATIONAL
13 DEFINITION IS.

14 CHAIRMAN KLEIN: DR. PENHOET, ON AN
15 OPERATIONAL BASIS IN PEER REVIEW, THE WAY THIS
16 GENERALLY COMES UP IS AT PROGRAMMATIC REVIEW. IF WE
17 HAVE AN EMBRYONIC PROPOSAL THAT IS LOWER DOWN, THEY
18 MIGHT ANALYZE THE SPECIFIC MERITS OF THAT, REALIZE
19 THAT THIS IS SOMETHING THAT MIGHT OTHERWISE BE FUND
20 IF FUNDS ARE AVAILABLE AND ELEVATED BECAUSE THEY'RE
21 CONCERNED THAT, IN FACT, THIS MIGHT BE THE ONLY
22 SOURCE OF FUNDING FOR THAT GRANT.

23 JEFF SHEEHY, WOULD YOU LIKE TO COMMENT AS
24 WELL?

25 MR. SHEEHY: WELL, I ACTUALLY AGREE WITH

BARRISTERS' REPORTING SERVICE

1 DR. PENHOET, WHICH IS WHY I WANTED TO STRIKE THE
2 LAST ONE. AND ACTUALLY I WAS HEADED TOWARDS A
3 REQUIREMENT FOR PLURIPOTENT CELLS, TRYING TO GET A
4 SENSE IF ANYBODY ELSE FELT THAT WAY ABOUT IT. JUST
5 I TAKE ALAN'S POINT ABOUT CANCER STEM CELLS. BUT IF
6 YOU LOOK, ABOUT 60 PERCENT OF OUR DISEASE TEAMS WENT
7 TO CANCER, AND WE'RE KIND OF LEAVING THE PLURIPOTENT
8 CELL FIELD AS KIND OF BRINGING UP THE REAR, AND I
9 THINK IT SHOULD BE THE OTHER WAY AROUND BASED ON OUR
10 MISSION.

11 AND I PERSONALLY WOULD FOR THIS YEAR FOR
12 THIS TRANSLATION ROUND, I WOULD MAKE IT THE
13 REQUIREMENT THAT IT BE PLURIPOTENT CELLS. AND THE
14 REASON IS IS BECAUSE WE'RE NOT GOING TO GET THESE
15 INTO THE PIPELINE. AND WE JUST FUNDED A LOT OF
16 CANCER STEM CELL CANDIDATES. WHO IS ELSE IS GOING
17 TO PUSH THIS FIELD FORWARD? AT SOME POINT WE'VE GOT
18 TO SAY WE'RE GOING TO PUSH PLURIPOTENT CELLS AND
19 WE'RE GOING TO PUSH THEM HARD IF THAT'S WHAT WE WANT
20 TO DO. IF NOT, IF WE'RE GOING TO TAKE THAT BROAD --
21 YOU KNOW, THIS HAS BEEN A QUESTION THAT'S BEEN OUT
22 THERE. IT WAS OUT THERE IN THE KIND OF DISCUSSIONS
23 AROUND THE STRATEGIC PLAN, AND IT'S KIND OF BEEN
24 PERIPHERAL. BUT FOR ME FOR THIS PARTICULAR ROUND, I
25 WOULD PUSH HARD FOR SAYING LET'S DO PLURIPOTENT

BARRISTERS' REPORTING SERVICE

1 CELLS. LET'S SEE IF WE CAN GET SOME THERAPIES INTO
2 THE PIPELINE AND MOVE SOME STUFF FORWARD.

3 CHAIRMAN KLEIN: OKAY.

4 DR. GILL: I DON'T DISAGREE WITH THE
5 PLURIPOTENT STEM CELL, BUT I THINK ALAN MADE AN
6 IMPORTANT POINT, THAT IF YOU LOOK AT THE TWO
7 OBJECTIVES OF THIS, IT MIGHT BE MET BETTER BY SOME
8 THERAPIES THAT ACTIVATED ENDOGENOUS STEM CELLS FOR
9 REPAIR AND REPLACEMENT OR CANCER STEM CELLS. YOU
10 CAN THINK OF A VARIETY OF THINGS THAT MIGHT NOT BE
11 IP'S. SO I WOULD TRUST THE REVIEWERS TO PICK OUT
12 THE VERY BEST WITHOUT LIMITING THEM.

13 CHAIRMAN KLEIN: OKAY.

14 MR. SHEEHY: CAN I MAKE A POINT? THE ONLY
15 THING IS I THINK WHEN YOU START MEASURING ADULT STEM
16 CELLS OR SMALL MOLECULES ATTACKING CANCER STEM
17 CELLS, THAT INEVITABLY EMBRYONIC STEM CELLS AND IPS
18 CELLS, THE PLURIPOTENT CELLS ARE AT A DISADVANTAGE.
19 THERE'S NOT A CLEAR REGULATORY PATHWAY. WE REALLY
20 DON'T KNOW HOW THESE THINGS WORK. THERE'S ALL THESE
21 PROBLEMS, TUMOROGENICITY, IMMUNE TOLERANCE. AND THE
22 OTHER ONES, YOU KNOW, IT'S JUST YOU PUT THEM
23 TOGETHER, AND IT'S NOT REALLY APPLES AND APPLES.
24 IT'S APPLES AND ORANGES. AND YOU'VE KIND OF ALREADY
25 BIASED THE WHOLE THING TOWARDS THE APPLES, AND WE'LL

BARRISTERS' REPORTING SERVICE

1 END UP, YOU KNOW, WITH PROBABLY MORE ADULT STEM CELL
2 THERAPIES MOVING FORWARD OR NONPLURIPOTENT STEM CELL
3 THERAPIES.

4 AGAIN, THIS COMES TO A QUESTION OF -- I
5 DON'T MIND LOSING ON THIS. I DON'T EVEN HAVE TO PUT
6 IT TO A VOTE, BUT I PERSONALLY WOULD GO THE OTHER
7 DIRECTION.

8 DR. PENHOET: ONE WAY TO DO IT --

9 CHAIRMAN KLEIN: DR. PENHOET, I THINK DR.
10 PRICE HAS BEEN VERY PATIENT, AND THEN I'LL COME TO
11 YOU.

12 DR. PRICE: I'D LIKE TO SORT OF, I GUESS,
13 SUPPORT WHAT JEFF IS SAYING BY MAKING AN ANALOGOUS
14 ARGUMENT REALLY FROM ECONOMICS AND ECONOMIC
15 DEVELOPMENT THEORY. AND I START WITH A HYPOTHESIS,
16 WHICH MAY BE WRONG, BUT THE HYPOTHESIS IS TO TRY TO
17 EXPLAIN WHAT TO SOME OF US WAS THE SURPRISING
18 WEIGHT, IF NOT DOMINANCE, OF NONPLURIPOTENT RESEARCH
19 IN THE DISEASE TEAMS. MY HYPOTHESIS IS THAT'S
20 BECAUSE THOSE FIELDS, THE ADULT STEM CELL FIELDS,
21 THE CANCER STEM CELLS FIELDS, ARE SIMPLY MORE
22 MATURE, THE SCIENCE IS MORE MATURE. SO THAT YOU GOT
23 TO REVIEW, THERE'S MORE PROOF OF PRINCIPLE, THERE'S
24 MORE BEHIND IT, THERE'S NOT A LEVEL PLAYING FIELD
25 WITH RESPECT TO THE PLURIPOTENT FIELD. SO HERE'S MY

BARRISTERS' REPORTING SERVICE

1 ANALOGY.

2 THROUGHOUT HISTORY WHEN, AT LEAST MODERN
3 HISTORY, WHEN COUNTRIES HAVE DECIDED TO DEVELOP AN
4 INDUSTRIAL CAPACITY IN AN AREA WHERE THEY DON'T HAVE
5 IT BEFORE, IT HAS BEEN FAIRLY COMMON TO PURSUE WHAT
6 IS CALLED INFANT INDUSTRY STRATEGY. THROUGH VARIOUS
7 WAYS PROTECT THOSE LINES WHICH ARE NEW, THOSE AREAS
8 OF ENDEAVOR WHICH ARE NEW THROUGH EITHER TARIFFS OR
9 THROUGH GOVERNMENT SUBSIDIES SO AS TO ALLOW THE
10 INFANT INDUSTRY TO COMPETE WITH THE MORE MATURE
11 INDUSTRIES, AT WHICH POINT YOU CAN WITHDRAW THE
12 SUBSIDIES.

13 I THINK WHAT JEFF IS ARGUING IS
14 ESSENTIALLY AN ANALOGY TO WHAT WE'RE DOING IS THAT
15 WE SHOULD USE SOME OF OUR RESOURCES TO ESSENTIALLY
16 STIMULATE, TO BOLSTER THE PLURIPOTENT FIELD SO THAT
17 IT CAN COMPETE AT A LEVEL PLAYING FIELD WITH ADULT
18 STEM CELLS.

19 CHAIRMAN KLEIN: SO DR. PENHOET.

20 DR. PENHOET: WELL, ONE INTERMEDIATE
21 SUGGESTION WOULD BE AN ALGORITHM FOR REVIEW WHICH
22 SAYS YOU WILL REVIEW ALL THE PLURIPOTENT STEM CELL
23 GRANTS FIRST AND DETERMINE WHICH ONES YOU RECOMMEND
24 FOR FUNDING. THAT SAYS, OKAY, YOU'VE GOT X GOOD
25 GRANTS. IF THERE'S STILL MONEY LEFT OVER, THEN YOU

BARRISTERS' REPORTING SERVICE

1 REVIEW THE REST AND RANK ORDER THEM AND THEY FALL
2 BELOW. THAT DOESN'T MEAN -- THERE ARE SOME
3 INTERMEDIATE WAYS TO HANDLE THIS WHICH PUT TEETH IN
4 PRIORITY. OTHERWISE I THINK IT WILL JUST END UP THE
5 WAY IT ENDS UP.

6 CHAIRMAN KLEIN: SO WHAT I'D LIKE TO
7 REMIND US HERE IS THAT OUR FUNDAMENTAL OBLIGATION IS
8 TO MOVE THERAPIES TO PATIENTS. AND WHILE THE
9 INITIATIVE CREATES A PRIORITY FOR PLURIPOTENT AND
10 PROGENITOR STEM CELL RESEARCH, AND CERTAINLY IT IS
11 THE PLURIPOTENT CELLS THAT HAVE THE LONGER LEAD-TIME
12 AND THE MORE COMPLEX DEVELOPMENT PATH, WE HAVE THE
13 ABILITY IN PROGRAMMATIC REVIEW TO RECOGNIZE THIS
14 PRIORITY. AND I QUESTION WHETHER WE'RE HONORING OUR
15 OBLIGATIONS TO PATIENTS IF WE PASS OVER AN
16 OPPORTUNITY TO FUND A THERAPY THAT DOES NOT USE
17 PLURIPOTENT STEM CELLS BECAUSE WE HAVE A PLURIPOTENT
18 STEM CELL OPPORTUNITY THAT IS FURTHER OUT.

19 WE HAVE THE FLEXIBILITY FINANCIALLY WITH
20 SOLID SCIENTIFIC OPPORTUNITY TO REALLY FUND HIGH
21 QUALITY PLURIPOTENT AND NONPLURIPOTENT THERAPIES IN
22 THIS DEVELOPMENT PATHWAY. I'M GOING TO CALL ON DR.
23 OLSON THEN DR. BRYANT.

24 DR. OLSON: I WOULD SAY THAT HAVING BEEN
25 LISTENING TO THIS DISCUSSION, I MEAN WHAT I WOULD

BARRISTERS' REPORTING SERVICE

1 LIKE TO SUGGEST IS THAT WE WILL PUT THE EMPHASIS ON
2 PLURIPOTENT. WE WILL TRY AND ADDRESS THE REVIEW
3 CRITERIA TO AGAIN HIGHLIGHT THAT. I WOULD POINT OUT
4 THAT IN THE FIRST EARLY TRANSLATION, BY PUTTING A
5 PRIORITY ON DEVELOPMENT CANDIDATES, I THINK THAT
6 PLAYED OUT QUITE NICELY.

7 SO BUT I DO WANT TO POINT OUT THAT REALLY,
8 AS BOB HAS SAID, AS CHAIRMAN KLEIN HAS SAID,
9 PROGRAMMATIC IS THE PLACE WHERE YOU CAN REALLY DO
10 THAT IN CONJUNCTION WITH PRIORITY, EMPHASIZE THIS IS
11 WHAT WE'RE LOOKING FOR. AND I WOULD SUGGEST THAT
12 THAT COULD PROBABLY ADDRESS THE CONCERNS HERE IN THE
13 FOCUS.

14 CHAIRMAN KLEIN: SO WE'VE HAD A VERY
15 ACTIVE DISCUSSION. DR. BRYANT, I'M GOING TO GIVE
16 YOU THE LAST WORD. AND THEN JUST SO WE SEE WHERE
17 WE'RE GOING, SINCE WE CAN'T VOTE TONIGHT, WE'RE
18 GOING TO ASK THAT RICH LARSON, WHO'S ASKED TO SPEAK,
19 IF HE COULD SPEAK BECAUSE HE HAS TO LEAVE. AND DON
20 REED WANTS TO SAY SOMETHING AFTER THAT. AND THEN
21 WE'RE GOING TO ADJOURN FOR THE EVENING WITH DR.
22 TROUNSON ACTUALLY -- RICH, WE'RE GOING TO DO DR.
23 BRYANT, THEN DR. TROUNSON, THEN WE'RE GOING TO GO TO
24 YOU.

25 DR. BRYANT: I DON'T HAVE VERY MUCH TO SAY

BARRISTERS' REPORTING SERVICE

1 EXCEPT THAT I THINK YOU MENTIONED WE HAVE
2 COMMITMENTS TO PATIENTS. WE ALSO HAVE A COMMITMENT
3 TO THE VOTERS TO EXPLORE HUMAN EMBRYONIC STEM CELLS
4 TO THE BEST OF OUR ABILITY. THIS IS OUR ONE SHOT,
5 SO I WOULD SAY THOSE ARE COMPETING DEMANDS. I LIKE
6 WHAT BOB SAID. I LIKED HIS ANALOGY VERY MUCH.

7 DR. TROUNSON: WELL, I THINK I MENTIONED
8 CANCER STEM CELLS BECAUSE THERE'S SO MANY -- THERE'S
9 CLEARLY SO MANY OPPORTUNITIES, BUT THERE ARE SOME
10 VERY EARLY CLINICAL TRIALS ON AMNIOTIC-DERIVED CELLS
11 THAT ARE BEING USED FOR PREMATURE BABIES. I DON'T
12 THINK THAT WOULD BE ACTUALLY CLASSIFIED AS -- WELL,
13 ARGUABLY CLASSIFIED AS PLURIPOTENTIAL. THERE ARE
14 SOME DEVELOPMENTS OUT THERE THAT I THINK WE WOULD BE
15 SILLY TO EXCLUDE AT LEAST FOR CONSIDERATION.

16 SO THE REQUIRED I STILL DON'T LIKE BECAUSE
17 THE SENSE FOR THE MISSION IS TO GET THE DEVELOPMENTS
18 TO THE CLINIC. AND IF IT IS A CERTAIN CELL TYPE
19 THAT'S MORE AVAILABLE AND IT'S LESS LIKELY TO
20 PRODUCE A REACTION AND LIKELY TO HAVE A BENEFIT FOR
21 A PATIENT, EXCLUDING THAT AT THIS POINT IN TIME JUST
22 DOESN'T SEEM TO MATCH WITH OUR MISSION.

23 NOW, YOU KNOW, AS YOU KNOW, I GREW UP WITH
24 PLURIPOTENTIAL EMBRYONIC STEM CELLS, SO THEY'RE MY
25 FAVORITE THINGS. BUT I SENSE THAT THE MISSION, THE

BARRISTERS' REPORTING SERVICE

1 MISSION IS TO ACTUALLY GET THE BEST TREATMENT TO THE
2 CLINIC. AND IF THERE ARE SOME VERY SPECIAL
3 OPPORTUNITIES COME BY, WE'RE CRAZY IF WE CUT IT OFF
4 SO THAT THE REVIEWERS CAN'T SAY THIS IS A VERY
5 SPECIAL, A VERY SPECIAL OPPORTUNITY, AND WE THINK
6 CIRM SHOULD BE THE PLACE IN WHICH WE DRAW THAT
7 FORWARD IN AN EARLY TRANSLATION GRANT. SO I DON'T
8 KNOW WHAT THEY NECESSARILY WILL BE, BUT I'M
9 ASTONISHED ABOUT SOME OF THE WORK WHICH HAPPENING
10 WOULD NECESSARILY EASILY FIT INTO THAT DEFINITION
11 THAT INCLUDED REQUIRED.

12 CHAIRMAN KLEIN: THANK YOU. RICH, IF YOU
13 WOULD PLEASE MAKE YOUR PRESENTATION. THIS IS PUBLIC
14 COMMENT PRESENTATION BEFORE WE ADJOURN.

15 MR. LARSON: REALLY APPRECIATE THE
16 OPPORTUNITY THIS EVENING AND FOR SQUEEZING ME IN
17 HERE, AND YOU CAN ALL GO HOME AFTER I'M DONE
18 TALKING. SO MEMBERS OF THE BOARD AND MR. CHAIRMAN,
19 NICE TO SEE YOU AGAIN, BY THE WAY, AND THANKS AGAIN
20 FOR COMING TO STANFORD ONE MORE TIME.

21 MY NAME IS RICH LARSON, AND I'M ON THE
22 BOARD OF DIRECTORS OF THE HUNTINGTON'S DISEASE
23 SOCIETY OF AMERICA, A NORTHERN CALIFORNIA CHAPTER.
24 I'M HERE REPRESENTING JUDY ROBERSON, MANY OF WHOM
25 YOU KNOW. SHE'S A VERY PASSIONATE PERSON WHO LOST

BARRISTERS' REPORTING SERVICE

1 HER HUSBAND TO HD AND HAS THREE KIDS THAT ARE AT
2 RISK. AND SHE ASKED ME TO COME HERE AND TELL OUR
3 STORY ABOUT HD FROM KIND OF A USER PERSPECTIVE TO
4 THIS GROUP OF DISTINGUISHED POLICYMAKERS.

5 AND SO I JUST WOULD LIKE TO START BY
6 SAYING MOST OF YOU PROBABLY KNOW WHAT HD IS PROBABLY
7 BETTER THAN I DO CONSIDERING ALL THESE WORDS THAT
8 YOU ARE BOUNCING AROUND HERE. JUDY SAYS YOU MAKE
9 THEM ALL UP, BY THE WAY, SO I DON'T KNOW IF THAT'S
10 TRUE. JOKE. OBVIOUSLY A LITTLE LATE.

11 ANYWAY, HD IS AN ORGANIC BRAIN DISEASE.
12 IT IS A DISEASE WITH NO CURE. IT'S ONE THAT IS VERY
13 DISRUPTIVE OBVIOUSLY TO THE VICTIM, BUT ALSO TO THE
14 CAREGIVERS, AND IT'S JUST REALLY ONE OF THOSE AWFUL
15 SORT OF DISEASES. AND IT'S IN MY FAMILY AS WELL AS
16 IT IS JUDY'S AND MANY MEMBERS ACROSS THE COUNTRY.
17 I'M AT RISK. MY TWO SONS ARE AT RISK. AND
18 UNFORTUNATELY I'VE GOT A LONG LINE OF FAMILY MEMBERS
19 THAT HAVE GONE THROUGH HD. MY GRANDFATHER PASSED
20 AWAY IN A MENTAL INSTITUTION, WHICH IS WHAT THEY DID
21 TO PEOPLE WITH HD BACK IN THE DAY. MY GRANDMOTHER
22 PASSED AWAY IN 1975. SHE SUFFOCATED IN BED DUE TO
23 THE SYMPTOMS OF HD. MY MOTHER PASSED IN '97. SHE
24 CHOKED TO DEATH DUE TO, AGAIN, THE LOSS OF MUSCLE
25 CONTROL THAT HD BRINGS ABOUT.

BARRISTERS' REPORTING SERVICE

1 AND SO THE NEED FOR A CURE IN OUR
2 PERSONAL, VERY PERSONAL, POINT OF VIEW COULDN'T BE
3 HIGHER, AS YOU WOULD IMAGINE. MY SISTER IS NOW
4 SYMPTOMATIC. SHE'S GOT TWO KIDS AT 48 YEARS OLD,
5 AND I'D HATE TO HAVE HER GO THROUGH WHAT MY MOTHER
6 AND MY GRANDMOTHER DID. SO WITH THIS IN MY FAMILY
7 AND SEEING OTHER PEOPLE AT RISK, I'VE SPENT WHAT
8 TIME I HAVE AND WHAT MONEY I HAVE DEVOTING MY
9 EFFORTS TO THE HDSA.

10 AND WE DO BASIC THINGS NOW. WE DO A LOT
11 OF RESEARCH. WE'VE GOT CENTERS OF EXCELLENCE AROUND
12 THE COUNTRY. MANY OF YOU HAVE HEARD OF JAN NOLTA,
13 DR. JAN NOLTA, AND DR. VICKI WHEELOCK, VERY
14 DISTINGUISHED SCIENTISTS IN OUR ORGANIZATION. FOR
15 THE FIRST TIME IN MANY YEARS, THERE'S SOME HOPE
16 ABOUT THE MSC STUDIES THAT THEY'RE DOING. AND WE
17 THINK THAT THE ABILITY TO HELP THE NEURONS
18 RECONNECT, FROM, AGAIN, OUR USER POINT OF VIEW,
19 SEEMS LIKE A VERY HOPEFUL OPPORTUNITY.

20 THE OTHER ASPECT, IN ADDITION TO RESEARCH,
21 WE DO A LOT OF CARE ADVOCACY, FUND-RAISING IN THE
22 FORM OF HOOLA-HOOP DANCES AND WALKS AND SO FORTH,
23 ALL OF WHICH REPRESENTS REALLY SMALL MONEY COMPARED
24 TO WHAT WE'RE TALKING ABOUT HERE. SO WE'RE REALLY
25 GLAD THAT THERE'S FEDERAL GOVERNMENT PROGRAMS IN

BARRISTERS' REPORTING SERVICE

1 PLACE AS WELL. AND WE TRY TO SPREAD HOPE. WE TRY
2 TO SPREAD HOPE WITH PEOPLE AND FAMILIES THAT ARE
3 AFFLICTED WITH THIS DISEASE AND IT'S TOUGH. THEY
4 ARE ALL TRULY SUFFERING. BUT WHEN YOU LOOK AT WHAT
5 HAPPENED IN TERMS OF DISCOVERING THE GENE MORE THAN
6 TEN YEARS AGO, YOU LOOK AT SOME OF THE PROGRESS THAT
7 WE'RE SEEING WITH THE MSC CELLS NOW, MSC STUDIES,
8 AND YOU LOOK AT WHAT'S HAPPENING HERE WITH THE CIRM.
9 WE'RE SO HAPPY YOU'RE HERE. WE'RE JUST VERY PLEASED
10 WITH EVERYTHING YOU'VE DONE, MR. CHAIRMAN AND THE
11 REST OF THE ORGANIZATION, AND, OF COURSE, THE VOTERS
12 OF CALIFORNIA. SO THANK YOU ALL FOR THAT.

13 OUR BASIC MESSAGE TO YOU ALL TONIGHT IS WE
14 NEED YOUR HELP TO FUND THE BEST SCIENCE TO HELP US
15 FIND A CURE FOR HD. SO IF I ASK YOU TO DO THAT,
16 THAT'S MY SIMPLE REQUEST. BEST WISHES TO ALL OF
17 YOU.

18 CHAIRMAN KLEIN: THANK YOU VERY MUCH AND
19 THANK YOU FOR YOUR ADVOCACY. DON REED.

20 MR. REED: NATURALLY I HAVE ENORMOUS
21 RESPECT FOR SCIENTIFIC STAFF. THEIR RECORD OF
22 ACCOMPLISHMENTS IS GOING TO BE LEGENDARY. AND OUR
23 CHIEF SCIENTIST IS A TREMENDOUS PIONEER OF IVF. NO
24 QUESTION ABOUT THAT. BUT I DON'T LIKE WHAT I'M
25 FEELING. WHAT I'M FEELING IS THAT WE'RE LOSING

BARRISTERS' REPORTING SERVICE

1 TRACK OF WHAT WE FOUGHT FOR. THE ADULT STEM CELLS
2 HAD HUGE ADVANTAGES, 40 YEARS HEAD START, MASSIVE
3 OVERFUNDING. I JUST READ THE TRANSCRIPT OF THE
4 MILITARY TALKING ABOUT \$250 MILLION GRANT FOR ADULT
5 STEM CELLS. AND ONE OF THE REPORTERS SAYS, "THIS
6 ISN'T VERY MUCH," AND HE SAID, "OH, WE REGARD IT AS
7 A STARTING POINT."

8 THEY'VE HAD HUGE ADVANTAGES. THE
9 OPPOSITION HAS ATTEMPTED TO PUT SCIENTISTS IN JAIL
10 FOR DOING SOMATIC CELL NUCLEAR TRANSFER. THIS IS
11 OUR SHOT. I SUGGEST THAT WE LOOK AT THE WAY THIS IS
12 SET UP, WHICH IS THREE TIMES AS MUCH FOR CLOSE TO
13 THE IND AND ONE PART FOR THAT WHICH IS NOT CLOSEST
14 TO IND. IF YOU LOOK AT WHAT'S CLOSEST TO IND, YOU
15 HAVE TO SAY IT'S GOING TO BE ADULT BECAUSE THEY'VE
16 HAD THIS HUGE ADVANTAGE. WE WANT TO CHANGE -- WE
17 WANT TO ADVANCE THE FIELD. I SUGGEST DON'T MAKE IT
18 A THREE-TO-ONE ADVANTAGE. JUST MAKE IT BE FOR
19 WHAT'S BEST. MAKE IT BE THE BEST SCIENTISTS. DON'T
20 SAY THREE TIMES FOR THAT AND ONE TIME FOR THIS.

21 THIS IS WHAT WE FOUGHT FOR. WE'RE THE
22 ONLY PLACE IN THE WORLD THAT'S DOING THIS. I SEE
23 WASHINGTON BEING VERY CAREFUL AND TIMID AND CAUTIOUS
24 AND AFRAID. I HEARD THE HEAD OF -- DR. FRANCIS
25 COLLINS, A FINE MAN, SAID HE WOULD NEVER PERSONALLY

BARRISTERS' REPORTING SERVICE

1 ETHICALLY FEEL RIGHT ABOUT MAKING A STEM CELL LINE.
2 WE ARE UNIQUE AND WE MUST ACT AS SUCH. I THINK WE
3 ARE IRREPLACEABLE, AND I URGE YOU TO STRONGLY
4 SUPPORT THE PLURIPOTENTIAL SIDE AND NOT TO GO --
5 IT'S NOT IMPORTANT THAT WE BE QUICK. IT'S IMPORTANT
6 THAT WE BE RIGHT, AND I THINK WE'RE ON THE RIGHT
7 TRACK AND WE NEED TO DO THIS. I VOTE PLURIPOTENTIAL
8 ALL THE WAY. THANK YOU.

9 CHAIRMAN KLEIN: DON, WE DIDN'T
10 EXCLUSIVELY FIGHT FOR EMBRYONIC STEM CELLS OR
11 PLURIPOTENT STEM CELLS. WE HAVE A PRIORITY THAT WE
12 FOUGHT FOR, WHICH IS IN THE INITIATIVE, BUT WE
13 FOUGHT FOR THE BEST SCIENCE TO REACH THE PATIENTS AS
14 SOON AS IT COULD. AND I REMIND YOU THAT, LIKE THE
15 SPEAKER BEFORE YOU, HUNTINGTON'S DISEASE DOES NOT
16 HAVE AS ITS BEST TARGET EMBRYONIC STEM CELL THERAPY.

17 WE HAVE A VERY IMPORTANT MISSION TO MEET
18 AND CERTAINLY IN PROGRAMMATIC WE CAN ADDRESS
19 EMBRYONIC STEM CELLS AND PLURIPOTENT STEM CELLS.
20 BUT IT'S YOUR PASSION AND INDIVIDUALS LIKE YOU WHO
21 HAVE DRIVEN THIS FIELD FORWARD AND FUNDING FOR ALL
22 STEM CELL RESEARCH, AND WE CERTAINLY ARE INDEBTED TO
23 YOU FOR YOUR PASSION AND COMMITMENT. SO THANK YOU
24 VERY MUCH.

25 MR. REED: EVERY TIME I HELP MY SON GET

BARRISTERS' REPORTING SERVICE

1 OUT OF BED IN THE MORNING, I'M REMINDED ON THE
2 CRUCIAL NATURE OF WHAT YOU ARE DOING. AND THAT'S
3 WHY I ALWAYS HAVE TO SPEAK MY MIND PASSIONATELY EVEN
4 IF I DISAGREE. I LOVE YOU VERY MUCH, ALL OF YOU.
5 THANK YOU.

6 (APPLAUSE.)

7 CHAIRMAN KLEIN: THANK YOU VERY MUCH. SO
8 WE'D LIKE TO ADJOURN FOR THE EVENING. I WOULD LIKE
9 TO EMPHASIZE THAT TOMORROW MORNING AT 8 A.M.,
10 REMEMBER 8 A.M. MEANS PARKED AND HERE AT 8 A.M.,
11 DR. MICHAEL CLARKE FROM STANFORD IS GOING TO DO A
12 PRESENTATION ON CANCER STEM CELLS AND ANSWER A LOT
13 OF THE QUESTIONS ABOUT COMPETING THEORIES ABOUT
14 CANCER STEM CELLS. AND I THINK IT IS A VERY
15 IMPORTANT SUBJECT THAT HE'S PUT A LOT OF PREPARATION
16 INTO, SO I WOULD HOPE THAT WE'RE ALL HERE AT 8 A.M.
17 TO HEAR THIS PRESENTATION. IT'S A CRITICAL THEORY
18 IN THE CANCER AREA, AND THIS IS ONE OF THE LEADING
19 INDIVIDUALS, SCIENTISTS IN THE WORLD. SO THANK YOU
20 VERY MUCH. WE ARE ADJOURNED.

21 (THE MEETING WAS THEN ADJOURNED AT
22 06:32 P.M.)

23
24
25

BARRISTERS' REPORTING SERVICE

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

PAUL BREST HALL, MUNGER COMPLEX
STANFORD UNIVERSITY
STANFORD, CALIFORNIA
ON
DECEMBER 9, 2009

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152
BARRISTER'S REPORTING SERVICE
1072 BRISTOL STREET
SUITE 100
COSTA MESA, CALIFORNIA
(714) 444-4100