

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: MARRIOTT LA JOLLA
4240 LA JOLLA VILLAGE DRIVE
LA JOLLA, CALIFORNIA

DATE: AUGUST 28, 2013
9 A.M.

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO.: 92761

BARRISTERS' REPORTING SERVICE

I N D E X

ITEM DESCRIPTION	PAGE NO.
REPORTS & DISCUSSION ITEMS	
1. CALL TO ORDER.	4
2. PLEDGE OF ALLEGIANCE.	4
3. ROLL CALL.	5
4. TRIBUTE TO DUANE ROTH.	NOT REPORTED
5. CHAIRMAN'S REPORT.	15
6. PRESIDENT'S REPORT.	144
ACTION ITEMS	
7. CONSIDERATION OF APPLICATIONS FOR RFA 12-07: CIRM EARLY TRANSLATIONAL IV RESEARCH AWARDS.	15
8. CONSIDERATION OF FINAL ADOPTION OF AMENDMENTS TO CIRM INTELLECTUAL PROPERTY REGULATIONS.	7
9. & 10. CLOSED SESSION	NOT REPORTED
ACTION ITEMS	
11. CONSIDERATION OF PROPOSED PROGRAM ANNOUNCEMENT FOR CIRM/INDUSTRY CO-FUNDING AGREEMENT.	94
12. CONSIDERATION OF APPOINTMENT OF NEW SCIENTIFIC MEMBERS OF GRANTS WORKING GROUP.	NOT HEARD
13. CONSIDERATION OF MINUTES FROM THE MAY 2013 AND JULY 2013 BOARD MEETINGS.	NOT HEARD

BARRISTERS' REPORTING SERVICE

I N D E X (CONT'D.)

DISCUSSION ITEMS

14. CONTRACTS SUMMARY.	143
15. COMMUNICATIONS UPDATE.	134
16. PUBLIC COMMENT.	NOTE

BARRISTERS' REPORTING SERVICE

1 SAN DIEGO, CALIFORNIA; WEDNESDAY, AUGUST 28, 2013

2 9 A.M.

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

CHAIRMAN THOMAS: LADIES AND GENTLEMEN,
WE'RE WAITING FOR A VERY IMPORTANT ARRIVAL OF ONE
ADDITIONAL PERSON, SO WE WILL START AS SOON AS HE
GETS HERE, WHICH SHOULD BE PRESENTLY. SO BEAR WITH
US FOR A SECOND. THANK YOU.

MEMBERS OF THE BOARD, I'M TOLD THAT IN
ORDER TO USE TODAY'S MICROPHONE, YOU HAVE TO KEEP
YOUR FINGER ON THE ON BUTTON SO THAT THE MIC STAYS
ON. A LITTLE LOGISTICALLY DIFFICULT, BUT YOUR
FINGER WILL GET A GOOD WORKOUT.

SO WHILE WE'RE WAITING FOR OUR GUEST, WE
CAN GET THROUGH A COUPLE OF EARLY MATTERS HERE. SO
I'D LIKE TO CALL THE MEETING OF THE ICOC TO ORDER
FROM THE LA JOLLA MARRIOTT IN SAN DIEGO ON THIS
AUGUST 28TH. WELCOME EVERYBODY. IT'S A PLEASURE TO
SEE YOU ALL HERE AS USUAL.

LET'S GO FIRST TO MARIA TO LEAD US IN THE
PLEDGE OF ALLEGIANCE.

(THE PLEDGE OF ALLEGIANCE.)

CHAIRMAN THOMAS: MARIA, PLEASE CALL THE
ROLL.

MS. BONNEVILLE: SUE BRYANT.

BARRISTERS' REPORTING SERVICE

1 DR. BRYANT: HERE.
2 MS. BONNEVILLE: KEN BURTIS.
3 DR. BURTIS: PRESENT.
4 MS. BONNEVILLE: KRISTINA VUORI.
5 DR. VUORI: HERE.
6 MS. BONNEVILLE: ANNE-MARIE DULIEGE.
7 DR. DULIEGE: HERE.
8 MS. BONNEVILLE: MARCY FEIT. LEON FINE.
9 DR. FINE: HERE.
10 MS. BONNEVILLE: ELIZABETH FINI.
11 DR. FINI: HERE.
12 MS. BONNEVILLE: MICHAEL FRIEDMAN.
13 DR. FRIEDMAN: HERE.
14 MS. BONNEVILLE: MICHAEL GOLDBERG.
15 MR. GOLDBERG: HERE.
16 MS. BONNEVILLE: SAM HAWGOOD.
17 DR. HAWGOOD: HERE.
18 MS. BONNEVILLE: STEPHEN JUELSGAARD.
19 MR. JUELSGAARD: HERE.
20 MS. BONNEVILLE: SHERRY LANSING. BERT
21 LUBIN.
22 DR. LUBIN: HERE.
23 MS. BONNEVILLE: LLOYD MINOR.
24 DR. MINOR: HERE.
25 MS. BONNEVILLE: KIRK PETERSON.

BARRISTERS' REPORTING SERVICE

1 DR. PETERSON: HERE.
2 MS. BONNEVILLE: FRANCISCO PRIETO.
3 DR. PRIETO: HERE.
4 MS. BONNEVILLE: ROBERT QUINT.
5 DR. QUINT: HERE.
6 MS. BONNEVILLE: AL ROWLETT.
7 MR. ROWLETT: HERE.
8 MS. BONNEVILLE: JOAN SAMUELSON.
9 MS. SAMUELSON: HERE.
10 MS. BONNEVILLE: JEFF SHEEHY.
11 MR. SHEEHY: HERE.
12 MS. BONNEVILLE: OSWALD STEWARD. JONATHAN
13 THOMAS.
14 CHAIRMAN THOMAS: HERE.
15 MS. BONNEVILLE: ART TORRES.
16 MR. TORRES: HERE.
17 MS. BONNEVILLE: EUGENE WASHINGTON. DONNA
18 WESTON.
19 DR. WESTON: HERE.
20 MS. BONNEVILLE: DIANE WINOKUR.
21 MS. WINOKUR: HERE.
22 CHAIRMAN THOMAS: THANK YOU. ALAN, BY THE
23 WAY, WE'RE GOING TO SAVE YOUR REPORT FOR THE TAIL
24 END HERE IF THAT'S OKAY BECAUSE WE NEED TO GET
25 THROUGH SOME CERTAIN ITEMS BEFORE WE LOSE A MEMBER

BARRISTERS' REPORTING SERVICE

1 OR TWO. SO WE'LL DO THE PRESIDENT'S REPORT AS THE
2 GRAND WRAP-UP TO THE PROCEEDINGS.

3 WHILE WE'RE WAITING, PERHAPS WE CAN
4 PROCEED TO ITEM NO. 8, WHICH IS CONSIDERATION OF
5 FINAL ADOPTION OF AMENDMENTS TO CIRM INTELLECTUAL
6 PROPERTY REGULATIONS. STEVE, WOULD YOU JUST LIKE TO
7 COMMENT A BIT ON THE IP AND INDUSTRY SUBCOMMITTEE
8 MEETING AND THEN INTRODUCE ELONA.

9 MR. JUELSGAARD: SO LAST EVENING WE HELD A
10 MEETING OF THE IP AND INDUSTRY SUBCOMMITTEE TO
11 REVIEW CERTAIN PROPOSED AMENDMENTS TO THE
12 REGULATIONS IN THE INTELLECTUAL PROPERTY AREA THAT
13 STAFF HAD BEEN WORKING ON. AND I WON'T GO INTO THEM
14 IN DETAIL BECAUSE ELONA IS GOING TO DO THAT IN A
15 SECOND. BUT THE IP AND INDUSTRY SUBCOMMITTEE
16 RECOMMENDED TO THE ICOC THAT THEY ADOPT THESE
17 CHANGES. AND SO WITH THAT, I'LL ASK ELONA TO
18 RECOUNT WHAT THOSE PROPOSED CHANGES ARE.

19 MS. BAUM: GOOD MORNING AND THANK YOU VERY
20 MUCH FOR CONSIDERING THIS ITEM BEFORE YOU. BY WAY
21 OF BACKGROUND, I JUST WANT TO REMIND EVERYBODY THAT
22 IN OCTOBER OF 2012 A NUMBER OF THE AMENDMENTS THAT
23 YOU SEE BEFORE YOU TODAY, ESPECIALLY THOSE THAT ARE
24 MARKED IN RED IN THE DOCUMENTATION YOU HAVE, HAVE
25 BEEN REVIEWED AND EXTENSIVELY CONSIDERED AND

BARRISTERS' REPORTING SERVICE

1 DELIBERATED AND ULTIMATELY APPROVED BY THE BOARD.
2 AND AS A RESULT, WE POSTED THOSE AMENDMENTS AND SOME
3 ADDITIONAL AMENDMENTS THAT ARE HIGHLIGHTED IN YELLOW
4 IN THE DOCUMENTATION YOU HAVE FOR PUBLIC COMMENT,
5 OPENED UP A RULEMAKING PROCEEDING.

6 AND WE DID NOT RECEIVE ANY FORMAL WRITTEN
7 COMMENTS, AND NOW WE ARE COMING BEFORE THE BOARD TO,
8 ONE, REQUEST THAT YOU APPROVE ALL OF THE PROPOSED
9 CHANGES THAT ARE IN RED, WHICH YOU ALREADY APPROVED
10 SO I WON'T SPEND MUCH TIME TALKING ABOUT, AND IN
11 ADDITION, THESE HIGHLIGHTED PROVISIONS AND IN
12 PARTICULAR IF THERE'S AN OPTION, AND I'LL GET INTO
13 IT, OPTION A. SO THAT'S WHAT WE'RE ASKING TODAY AND
14 I'LL GO THROUGH THEM AS WE PROCEED.

15 THERE'S A COUPLE NEW DEFINITIONS THAT WE
16 MADE SOME CHANGES TO, AND WE MADE SOME CHANGES TO
17 LICENSING REVENUE PROVISIONS AND REPORTING OF
18 LICENSING AGREEMENTS, WHICH I'LL DESCRIBE.

19 SO I THINK WHAT I SHOULD DO IS FIRST START
20 WITH THE EASIEST ITEM IN MANY RESPECTS, AND THAT IS
21 THE REPORTING OF LICENSING ACTIVITIES. THAT'S
22 SECTION 100602. YOU MIGHT RECALL THAT THE BOARD HAD
23 APPROVED THAT WITHIN 60 DAYS OF EXECUTION OF A
24 LICENSING AGREEMENT THAT A GRANTEE WAS REQUIRED TO
25 PROVIDE US A COPY OF THAT. THAT WAS ALREADY

BARRISTERS' REPORTING SERVICE

1 APPROVED. THAT'S IN RED. BUT ALTHOUGH WE DIDN'T
2 RECEIVE FORMAL WRITTEN COMMENTS TO ADDRESS THAT
3 PROPOSED AMENDMENT, WHAT WE DID RECEIVE IS SOME
4 INFORMAL TELEPHONE DISCUSSIONS WITH THE OFFICE OF
5 THE PRESIDENT OF THE UC. AND THEY PRESENTED SOME
6 CONCERNS AND SOME DIFFICULTIES. SO WHAT WE'VE DONE
7 IS PROVIDED IN HIGHLIGHT SOME VERBIAGE THAT
8 ADDRESSES THOSE CONCERNS, AND ALL ARE IN AGREEMENT
9 WITH THAT NEW VERSION.

10 SO WHAT WE ARE PROPOSING IS THAT INSTEAD
11 OF 60 DAYS TO PROVIDE A WRITTEN DISCLOSURE OF THE
12 LICENSING AGREEMENT THAT'S ENTERED INTO, IT'S 90
13 DAYS. GIVES THEM 30 MORE DAYS TO ADDRESS THIS.

14 AND INSTEAD OF ACTUALLY PROPOSING OR
15 PROVIDING AN EXECUTED COPY OF THE WHOLE AGREEMENT,
16 THEY NEED ONLY PROVIDE US WITH PROVISIONS RELATING
17 TO THE LICENSING REVENUE. AND IN ADDITION, WE FOR
18 MAXIMUM FLEXIBILITY HAVE BUILT IN A PROVISION THAT
19 ALLOWS CIRM AND THE GRANTEE TO DISCUSS OTHER MEANS
20 OF DISCLOSURE. SO INSTEAD OF PERHAPS ACTUALLY
21 PRODUCING A REDACTED COPY OF THE LICENSING
22 AGREEMENT, PERHAPS CIRM MIGHT FEEL IT'S APPROPRIATE
23 TO AGREE TO DELIVERY THROUGH A DATA ROOM.

24 AND IN ADDITION, WE ADDED SOME CLARIFYING
25 LANGUAGE THAT STATES THAT WE NOT ONLY WANT TO HAVE

BARRISTERS' REPORTING SERVICE

1 INFORMATION REGARDING LICENSING AGREEMENTS AND IN
2 PARTICULAR REVENUE SHARING, BUT WE ALSO WANT TO KNOW
3 WHEN THEY ENTER INTO MTA'S AND COLLABORATION
4 AGREEMENTS. SO THIS IS ALL HIGHLIGHTED IN THE TEXT
5 BEFORE YOU. IT'S A WAY TO ENSURE THAT CIRM HAS MORE
6 PROMPT NOTICE BECAUSE, ALTHOUGH THE GRANTEES ARE
7 REQUIRED TO GIVE US ANNUAL NOTICE BEFORE WE EVEN
8 ISSUE THE 60-DAY AMENDMENT, WE WERE FINDING THAT WE
9 WERE CONSTANTLY QUESTIONED ABOUT WHERE THE STATUS IS
10 IN TERMS OF ENGAGEMENT OF OUTSIDE ENTITIES TO CARRY
11 ON OUR WORK; I.E., LICENSING, ETC. SO WE FELT THAT
12 AT LEAST QUARTERLY REPORTING WOULD HELP US MEET
13 THOSE QUESTIONS.

14 THEN WE ALSO MADE SOME WHAT I CALL CLEANUP
15 CHANGES TO A FEW DEFINITIONS IN 601, IN PARTICULAR
16 EXCLUSIVE LICENSEE, EXCLUSIVE LICENSE, LICENSE
17 AGREEMENT, AND LICENSING REVENUE. WE MADE SOME
18 ENHANCEMENTS OR CLARIFICATIONS. WE WANTED TO MAKE
19 SURE THAT WE DIDN'T TOO NARROWLY DEFINE THOSE
20 DEFINITIONS. SO WHAT WE DID, AND PARDON ME, BUT I
21 HAVE TO REVERT TO LEGALESE HERE, IS WE INCORPORATED
22 A CONCEPT OF A COVENANT NOT TO SUE FOR INFRINGEMENT
23 INTO THE DEFINITION. IT'S IN ORDER TO ENSURE THAT
24 OUR DEFINITIONS FULLY ENCOMPASS THE DIFFERENT
25 SCENARIOS THAT MAKE SENSE WITHIN THE DEFINITIONS OF

BARRISTERS' REPORTING SERVICE

1 EXCLUSIVE LICENSEE, LICENSE, ETC.

2 I THINK MORE RELEVANT FOR YOUR
3 CONSIDERATION, BECAUSE IT IS MORE SUBSTANTIVE THAN
4 WHAT I JUST DESCRIBED, IS A CHANGE THAT WE'RE
5 PROPOSING VIS-A-VIS LICENSING REVENUE. YOU MAY
6 RECALL THAT THE BOARD HAD AGREED THAT LICENSING
7 REVENUE WOULDN'T INCLUDE PRECOMMERCIAL CONSIDERATION
8 RECEIVED BY A FOR-PROFIT. THAT WAS IN LINE WITH
9 WHAT WE'VE DONE WITH THE LOAN ADMINISTRATION POLICY.
10 AND AS WE WERE LOOKING AT THE MULTITUDE OF HUNDREDS
11 OF POSSIBLE SCENARIOS WITH MY COLLEAGUES, SCOTT
12 TOCHER, BEN HUANG, WHO I WANT TO THANK FOR HELPING
13 ME WITH THIS, WE REALIZED THAT THERE MIGHT BE A
14 CERTAIN SITUATION WHERE THAT MIGHT BE A LITTLE TOO
15 BROAD. SO WE HAVE A LITTLE NARROWING OF THAT
16 EXCEPTION THAT'S BEEN PROPOSED, AGAIN, IN YELLOW
17 HIGHLIGHT.

18 WHAT WE WANT TO SAY IS THAT EXCEPTION TO
19 LICENSING REVENUE A PRECOMMERCIAL REVENUE OBTAINED
20 BY FOR-PROFITS ONLY APPLIES IF THE FOR-PROFIT ENTITY
21 HAS EXPENDED OR IS EXPENDING FUNDS TO SUPPORT THE
22 CIRM-FUNDED INVENTION AND TECHNOLOGY.

23 AND THEN THERE'S A COUPLE OTHER ITEMS THAT
24 ARE ALSO MARKED IN HIGHLIGHT WITHIN THAT DEFINITION,
25 AND THEY'RE HIGHLIGHTED SIMPLY BECAUSE THEY WERE

BARRISTERS' REPORTING SERVICE

1 MOVED FROM A DIFFERENT PART OF THE TEXT. SO THERE'S
2 NO SUBSTANTIVE CHANGE THERE.

3 IN ADDITION, WE THOUGHT WE WOULD MAKE SOME
4 CHANGES TO NET COMMERCIAL REVENUE. AND IN DOING SO,
5 WE PROVIDED AN OPTION A, AN OPTION B, AND AN OPTION
6 C WITHIN THE DOCUMENTATION YOU HAVE BEFORE YOU. AS
7 IT TURNS OUT, WE HAVE DECIDED THAT WE WANT TO STAY
8 WITH THE STATUS QUO FOR NOW. SO WE'RE RECOMMENDING
9 THE ADOPTION OF OPTION A THAT APPEARS IN THAT
10 PROPOSED AMENDMENT FOR NET COMMERCIAL REVENUE.
11 OPTION A, AS I SAID, IS WHAT HAS BEEN APPROVED
12 CURRENTLY. AND IT'S OUR INTENT TO COME BACK TO THIS
13 BOARD IN A NEW RULEMAKING PROCEDURE TO PROVIDE A
14 MEANS WHERE WE CAN TELL THE REGULATED PUBLIC THROUGH
15 OUR REGULATIONS WHAT THE INTENT IS VIS-A-VIS THE
16 REVENUE STREAMS THAT ARE APPLICABLE TO OUR REVENUE
17 SHARING PROVISIONS; IN OTHER WORDS, REACH THROUGH.

18 SO A QUESTION CAN SOMETIMES ARISE, FOR
19 INSTANCE, I'M JUST GIVING YOU ONE SCENARIO, IF WE
20 END UP PROVIDING FUNDING THAT CREATES A TOOL, AND
21 THROUGH USE OF THE TOOL YOU DISCOVER A DRUG. DO WE
22 SEEK THE REVENUES FROM THE DRUG, OR DO WE SEEK THE
23 REVENUES FROM OUT LICENSING THE TOOL? THAT'S
24 SOMETHING THAT WILL REQUIRE, I THINK, A LOT MORE
25 DISCOURSE AND WE'LL ADDRESS AT ANOTHER TIME. SO FOR

BARRISTERS' REPORTING SERVICE

1 NOW WE THINK WE SHOULD JUST KEEP WITH WHAT WE HAVE.
2 AND I THINK SOME PEOPLE WOULD WONDER WHAT THE REACH
3 IS, AND WE'LL TRY TO CLEAR THAT UP IN SUBSEQUENT
4 RULEMAKING. OR IT MIGHT BE THAT WE SIMPLY HAVE AN
5 FAQ AND WE ESTABLISH WHAT THE INTENT IS. WE HAVE TO
6 EXPLORE THAT.

7 AND FINALLY, YOU WILL SEE SOME HIGHLIGHTED
8 TEXT IN THE REVENUE SHARING PROVISION, WHICH IS
9 600608. AND THAT'S ESSENTIALLY BECAUSE THE TEXT WAS
10 EITHER MOVED, FOR INSTANCE, IT WAS MOVED TO THE
11 LICENSING REVENUE SECTION, OR IT WAS ELIMINATED
12 BECAUSE IT WAS DUPLICATIVE. SO THERE'S NOTHING
13 SUBSTANTIVE IN THAT CHANGE, BUT WE HAD TO HIGHLIGHT
14 IT BECAUSE IT IS A CHANGE.

15 WITH THAT, I WOULD REQUEST THAT SOMEBODY
16 MOVE TO APPROVE ALL PROPOSED AMENDMENTS AS DESCRIBED
17 AND SPECIFICALLY OPTION A OF THE THREE OPTIONS THAT
18 APPEAR WITHIN NET COMMERCIAL REVENUE. THANK YOU.

19 CHAIRMAN THOMAS: DO I HEAR A MOTION TO
20 THAT EFFECT?

21 MR. JUELSGAARD: I'LL MOVE THAT WE ACCEPT
22 THE PROPOSED CHANGES TO THE REGULATIONS, INCLUDING
23 THE OPTION.

24 CHAIRMAN THOMAS: MOVED BY MR. JUELSGAARD.

25 DR. MINOR: SECOND.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: SECONDED BY DEAN MINOR.
2 IS THERE ANY DISCUSSION BY MEMBERS OF THE BOARD?

3 MR. GOLDBERG: NO, BUT WE HAVE MEMBERS OF
4 THE PUBLIC WHEN YOU'RE READY HERE.

5 CHAIRMAN THOMAS: ANY COMMENTS BY THOSE ON
6 THE PHONE? THANK YOU. ANY COMMENTS BY MEMBERS OF
7 THE PUBLIC? HEARING NONE, MR. HARRISON, IS IT OKAY
8 TO HAVE A VOICE VOTE ON THIS?

9 MR. HARRISON: YOU HAVE TO DO A ROLL CALL
10 OF THOSE ON THE PHONE.

11 CHAIRMAN THOMAS: ROLL CALL INCLUDING
12 THOSE ON THE PHONE?

13 MR. HARRISON: NO. ROLL CALL JUST OF
14 THOSE ON THE PHONE.

15 CHAIRMAN THOMAS: ALL THOSE IN THE ROOM
16 HERE IN FAVOR OF THE MOTION PLEASE SAY AYE.
17 OPPOSED? ROLL CALL ON THE PHONE.

18 MS. BONNEVILLE: MICHAEL FRIEDMAN.

19 DR. FRIEDMAN: YES.

20 MS. BONNEVILLE: MICHAEL GOLDBERG.

21 MR. GOLDBERG: YES.

22 MS. BONNEVILLE: LEON FINE.

23 DR. FINE: YES.

24 CHAIRMAN THOMAS: THANK YOU, GENTLEMEN.
25 THE MOTION PASSES.

BARRISTERS' REPORTING SERVICE

1 WE'RE NOW GOING TO GO TO THE CHAIRMAN'S
2 REPORT, WHICH TODAY FOCUSES ENTIRELY ON THE MEMORY
3 OF OUR WONDERFUL VICE CHAIR DUANE ROTH. WE HAVE
4 WITH US DUANE'S WIFE RENEE AND BROTHER TED IN THE
5 AUDIENCE. I WILL MAKE A FEW INTRODUCTORY COMMENTS.
6 WE'LL THEN PROCEED TO A VIDEO THAT WE HAD IN DUANE'S
7 HONOR AND FOLLOW THAT WITH COMMENTS BY MEMBERS OF
8 THE BOARD AND THOSE IN THE AUDIENCE WHO WOULD LIKE
9 TO SPEAK.

10 (THE TRIBUTE TO DUANE ROTH WAS THEN
11 HEARD, NOT REPORTED NOR HEREIN TRANSCRIBED. DURING
12 THE TRIBUTE A MOTION WAS MADE, SECONDED, AND
13 APPROVED UNANIMOUSLY TO NAME THE DISEASE TEAM III
14 AWARDS THE "DUANE ROTH DISEASE TEAM III ROUND OF
15 FUNDING.")

16 CHAIRMAN THOMAS: WE ARE NOW GOING TO MOVE
17 ON TO ITEM 7 ON OUR AGENDA. BACK TO THE WORK, THE
18 WONDERFUL WORK, THAT CIRM DOES AND THE OUTSTANDING
19 SCIENTISTS THAT IT FUNDS. THIS IS CONSIDERATION OF
20 APPLICATIONS FOR RFA 12-07, CIRM EARLY TRANSLATIONAL
21 IV RESEARCH AWARDS. DR. COLLINS PRESENTING.

22 DR. COLLINS: GOOD MORNING, MR. CHAIRMAN,
23 MEMBERS OF THE BOARD, AND AUDIENCE. TODAY I'D LIKE
24 TO PRESENT TO YOU THE RESULTS OF THE GRANTS WORKING
25 GROUP REVIEW OF THE FOURTH CALL OF OUR EARLY

BARRISTERS' REPORTING SERVICE

1 TRANSLATIONAL RFA. AND BEFORE I BEGIN, I'D LIKE TO
2 JUST REFRESH YOU BRIEFLY REGARDING THE KEY FEATURES
3 OF THE RFA, WHICH WAS APPROVED AT OUR CONCEPT
4 DISCUSSION LAST AUGUST. SO IT'S BEEN A LITTLE WHILE
5 SINCE WE SPOKE ABOUT IT.

6 AS YOU RECALL, THE GOAL OF THIS RFA IS
7 REALLY TO BRIDGE THAT SPACE BETWEEN BASIC RESEARCH
8 DISCOVERIES AND PRECLINICAL DEVELOPMENT. AND THIS
9 IS A REALLY IMPORTANT ACTUALLY CRITICAL PERIOD IN
10 THE PROJECT'S LIFETIME BECAUSE IT'S HERE WHEN WE
11 FIND WHETHER A PROPOSED THERAPEUTIC APPROACH REALLY
12 COULD STAND UP TO THE RIGORS REQUIRED TO MOVE ON
13 TOWARDS THE CLINIC.

14 AS YOU MAY RECALL, WE OFFER TWO TYPES OF
15 AWARDS, THE DEVELOPMENT CANDIDATE AWARDS AND THE
16 DEVELOPMENT CANDIDATE FEASIBILITY AWARDS. AND I'LL
17 REFER TO THESE AS DC AND DCF AWARDS. AND THESE TWO
18 AWARDS ACTUALLY HAVE FAIRLY DIFFERENT END GOALS.

19 I THINK OF THE DEVELOPMENT CANDIDATE
20 FEASIBILITY AWARDS AS THE HYPOTHESIS-DRIVEN PROJECTS
21 WITH AN ULTIMATE EYE TOWARDS TRANSLATION. SO REALLY
22 WHAT THESE AWARDS ARE DOING IS TESTING A THERAPEUTIC
23 HYPOTHESIS WHILE, IN CONTRAST, THE DEVELOPMENT
24 CANDIDATE AWARDS ARE REALLY GEARED TO ACHIEVING A
25 DEVELOPMENT CANDIDATE. SO I THINK OF THESE AS

BARRISTERS' REPORTING SERVICE

1 TRANSLATIONAL AWARDS WITH A DEFINED SET OF GOALS AND
2 OUTCOMES. AND I'LL JUST GO OVER THOSE IN THIS SLIDE
3 HERE TO LET YOU KNOW THE KIND OF EXPECTATIONS THAT
4 WE HAVE FOR THESE DEVELOPMENT CANDIDATE AWARDS.

5 SO I THINK OF IT THIS WAY. WE'RE LOOKING
6 FOR A THERAPEUTIC CANDIDATE THAT YOU MIGHT BE ABLE
7 TO USE IN HUMANS. WE WANT IT TO BE WELL
8 CHARACTERIZED. SO YOU NEED TO KNOW WHAT EACH LOT OF
9 THAT CANDIDATE SHOULD LOOK LIKE. WE'RE LOOKING TO
10 SEE WHETHER IT MIGHT IMPACT DISEASE SOMEDAY, SO
11 WE'RE LOOKING FOR REPRODUCIBLE EVIDENCE OF DISEASE
12 MODIFYING ACTIVITY. WE WANT TO KNOW HOW IT MIGHT
13 WORK. WE'D LIKE SOME PRELIMINARY ASSESSMENT OF THE
14 SAFETY AND HOW YOU MIGHT DELIVER THIS THERAPY.

15 IN ADDITION, WE WANT TO BE ABLE TO HAVE
16 SOME EVIDENCE THAT ONE CAN MAKE THIS CANDIDATE
17 CONSISTENTLY AND IN A METHOD OR USING REAGENTS THAT
18 WOULD BE SUITABLE FOR HUMAN USE. AND WE WANT TO BE
19 ABLE TO HAVE SOME SCALE-UP OF THIS PROCESS. SO DOES
20 IT WORK? DO YOU KNOW WHAT IT LOOKS LIKE? HOW DOES
21 IT WORK? AND CAN YOU MAKE IT?

22 I'D LIKE TO HIGHLIGHT THE TYPES OF THINGS
23 THAT REVIEWERS EVALUATED DURING THE REVIEW. REALLY
24 FOR FEASIBILITY THEY WERE LOOKING FOR COMPELLING
25 PRELIMINARY DATA TO SUPPORT THE PROPOSED APPROACH.

BARRISTERS' REPORTING SERVICE

1 WE'RE ALSO LOOKING FOR A COMPLETE PLAN TO ADDRESS
2 THE GOALS OF THE APPLICATION. SO, FOR EXAMPLE, FOR
3 A DEVELOPMENT CANDIDATE AWARD, WERE ALL THOSE
4 ACTIVITIES ADDRESSED, ALL THE REQUIREMENTS IN THE
5 PREVIOUS SLIDE, WERE THOSE ADDRESSED? FOR A
6 DEVELOPMENT CANDIDATE FEASIBILITY AWARD, WE'D BE
7 LOOKING FOR SOME EVIDENCE OF PROOF OF CONCEPT FOR
8 THE HYPOTHESIS. FOR EXAMPLE, THIS COULD BE IN AN IN
9 VITRO MODEL OF DISEASE OR AN IN VIVO MODEL.

10 FOR THE OBJECTIVE, RATIONALE, AND IMPACT,
11 THEY WERE LOOKING TO SEE IF THIS MIGHT SOMEDAY
12 PROGRESS TO BE A THERAPY THAT MIGHT HELP PATIENTS.

13 FOR THE TEAM, OBVIOUSLY WE'RE LOOKING FOR
14 AN APPROPRIATE TEAM WITH THE EXPERTISE THAT'S
15 REQUIRED TO PURSUE THE OBJECTIVE OF THAT PROPOSAL.
16 AND I'D LIKE TO HIGHLIGHT THAT FOR THE DEVELOPMENT
17 CANDIDATE AWARDS, PRODUCT DEVELOPMENT EXPERIENCE WAS
18 HELPFUL, AND REVIEWERS WERE LOOKING FOR THAT AS
19 WELL.

20 FINALLY, WE WERE LOOKING FOR APPROPRIATE
21 STEM CELL PROJECTS AND SUPPORTIVE ENVIRONMENT FOR
22 TRANSLATIONAL RESEARCH. WE ASKED REVIEWERS TO
23 PRIORITIZE THESE TYPES OF PROGRAMS, CELL THERAPY,
24 POTENTIALLY TRANSFORMATIVE THERAPEUTIC APPROACHES,
25 AND PROJECTS THAT WERE ADDRESSING DISEASES PREVALENT

BARRISTERS' REPORTING SERVICE

1 IN THE PEDIATRIC PATIENTS.

2 THESE ARE ELIGIBILITY CRITERIA. THEY'RE
3 FAIRLY STANDARD. THESE HAVEN'T CHANGED IN THE PAST
4 SEVERAL ROUNDS. I'D JUST LIKE TO HIGHLIGHT THAT A
5 CO-PI WAS AN OPTION FOR DEVELOPMENT CANDIDATE AWARDS
6 AND THAT THE RFA WAS OPEN TO OUR COLLABORATIVE
7 FUNDING PARTNER PROGRAM.

8 YOU APPROVED A TOTAL BUDGET OF UP TO \$70
9 MILLION FOR THIS RFA AT APPROXIMATELY TEN AWARDS OF
10 EACH TYPE, THE DEVELOPMENT CANDIDATE AND THE
11 DEVELOPMENT CANDIDATE FEASIBILITY, AND THESE HAD
12 BUDGETS OF UP TO \$3.5 MILLION IN DIRECT PROJECT
13 COSTS FOR THE CANDIDATE AND 1.2 MILLION FOR THE
14 FEASIBILITY AWARDS. AND I'D ALSO LIKE TO NOTE THAT
15 A LOAN OPTION WAS AVAILABLE FOR FOR-PROFITS IN THE
16 DEVELOPMENT CANDIDATE CATEGORY.

17 FINALLY, I'D LIKE TO HIGHLIGHT OUR NEW
18 SCORING SYSTEM. SO THE MAIN DIFFERENCE IN THIS
19 SCORING SYSTEM IS THAT REVIEWERS WERE INSTRUCTED
20 THAT THEIR SCORES WOULD ACTUALLY DETERMINE THE
21 FUNDING RECOMMENDATION TO YOU. SO THERE WERE THREE
22 TIERS OF SCORING THAT ARE PRESENTED HERE. AND SO
23 THIS IS A LITTLE BIT DIFFERENT FROM OUR PREVIOUS
24 SCORING SYSTEM, SO I'D JUST LIKE TO HIGHLIGHT THAT.
25 AND REVIEWERS WERE AWARE OF THIS NEW SYSTEM. AND

BARRISTERS' REPORTING SERVICE

1 THE PANEL DID RECOMMEND SIX DEVELOPMENT CANDIDATE
2 FEASIBILITY AWARDS AND FIVE DEVELOPMENT CANDIDATE
3 AWARDS.

4 UNLESS THERE ARE ANY QUESTIONS ABOUT THE
5 RFA, I'D LIKE TO TURN THE NEXT STEP OVER TO DR.
6 SAMBRANO.

7 CHAIRMAN THOMAS: ACTUALLY THANK YOU, DR.
8 COLLINS. BEFORE WE GET TO THAT, WANTED TO NOTE THAT
9 THIS IS REALLY THE FIRST MAJOR ROUND OF AWARDS WHICH
10 UTILIZES A NEW PROTOCOL THAT WAS PUT IN PLACE
11 EARLIER THIS YEAR WITH RESPECT TO APPEALS,
12 RECONSIDERATION, ETC. AND I WOULD LIKE MR. HARRISON
13 TO ADDRESS THE BOARD AND THE AUDIENCE JUST SO THAT
14 EVERYBODY UNDERSTANDS THAT PROTOCOL AS IT APPLIES TO
15 THIS SERIES OF AWARDS.

16 MR. HARRISON: GOOD MORNING. AS J.T.
17 MENTIONED, THE BOARD SUBSTANTIALLY REVISED SOME OF
18 ITS PROCEDURES APPLICABLE TO THE REVIEW OF
19 APPLICATIONS IN MARCH. SINCE THIS IS THE FIRST
20 MAJOR ROUND OF APPLICATIONS COMING TO THE BOARD FOR
21 ITS CONSIDERATION, WE THOUGHT IT WOULD BE HELPFUL TO
22 REMIND THE BOARD OF SOME OF THE DETAILS RELATING TO
23 THOSE POLICY CHANGES, THE FIRST OF WHICH IS THAT THE
24 BOARD WILL NO LONGER BE MAKING DECISIONS ON
25 APPLICATIONS. INSTEAD, UNDER THE BYLAWS WE HAVE

BARRISTERS' REPORTING SERVICE

1 ESTABLISHED WHAT IS CALLED THE APPLICATIONS REVIEW
2 SUBCOMMITTEE, WHICH IS COMPOSED OF THE BOARDS'S TEN
3 PATIENT ADVOCATE MEMBERS, FOUR LIFE SCIENCE
4 COMMERCIAL MEMBERS, AND THE CHAIR AND STATUTORY VICE
5 CHAIR. BECAUSE OF CURRENT VACANCIES, THERE ARE
6 CURRENTLY 14 MEMBERS OF THE APPLICATION REVIEW
7 SUBCOMMITTEE.

8 FOR THE 13 OF YOU WHO ARE APPOINTED FROM
9 INSTITUTIONS THAT ARE ELIGIBLE FOR CIRM FUNDING, YOU
10 ARE, UNDER THE BYLAWS, CONSIDERED EX OFFICIO MEMBERS
11 OF THE APPLICATION REVIEW SUBCOMMITTEE, WHICH MEANS
12 THAT YOU WILL HAVE THE OPPORTUNITY TO PARTICIPATE IN
13 THE DISCUSSION OF APPLICATIONS AND TO OFFER YOUR
14 COMMENTS PROVIDED THAT YOU DO NOT OTHERWISE HAVE A
15 CONFLICT WITH RESPECT TO THE APPLICATION, BUT YOU
16 WILL NOT BE CALLED UPON TO VOTE ON ANY OF THE
17 APPLICATIONS.

18 AS IS OUR NORMAL PRACTICE, WE'VE PROVIDED
19 EACH OF YOU WITH A LIST OF APPLICATIONS BY
20 APPLICATION NUMBER OF THOSE APPLICATIONS IN WHICH
21 YOU HAVE A CONFLICT OF INTEREST. AND WE WOULD
22 REMIND YOU TO CONSULT THAT LIST BEFORE RAISING YOUR
23 HAND TO COMMENT ON A SPECIFIC APPLICATION.

24 AS LILA SAID, THERE WERE ALSO CHANGES TO
25 THE GRANTS WORKING GROUP PROCESS AS WELL, ONE OF

BARRISTERS' REPORTING SERVICE

1 WHICH IS THAT WE HAVE NOW DEFINED THE FUNDING TIERS
2 IN ADVANCE OF THE GWG MEETING. SO THE SCIENTISTS
3 WHO WERE ASSIGNING SCORES TO THE APPLICATIONS KNOW
4 THAT IF THEY'RE SCORING AN APPLICATION BETWEEN 75
5 AND A HUNDRED, IT MEANS THAT THEY BELIEVE THAT
6 APPLICATION SHOULD BE FUNDED. FOR WHAT WE CALL TIER
7 II, SCORES OF 65 TO 74, WE'VE EXPLAINED TO THE
8 MEMBERS OF THE GRANTS WORKING GROUP THAT TIER II
9 REPRESENTS APPLICATIONS THAT ARE JUDGED TO BE OF
10 MODERATE SCIENTIFIC QUALITY OR APPLICATIONS WHERE
11 CONSENSUS ON SCIENTIFIC MERIT CANNOT BE REACHED, AND
12 THESE APPLICATIONS MAY BE SUITABLE FOR THE BOARD'S
13 PROGRAMMATIC CONSIDERATION.

14 THAT'S, OF COURSE, THE OTHER SIGNIFICANT
15 FEATURE OF THE POLICY CHANGE. PROGRAMMATIC REVIEW,
16 WHICH WAS FORMERLY CONDUCTED AT THE GRANTS WORKING
17 GROUP, HAS NOW BEEN TRANSFERRED TO THE APPLICATION
18 REVIEW SUBCOMMITTEE.

19 THE OTHER NOTABLE CHANGE IN THIS PROCESS
20 IS THAT THE BOARD, BOTH IN ITS BYLAWS AND IN THE
21 GRANTS WORKING GROUP BYLAWS, EMPOWERED STAFF TO MAKE
22 ANY ADDITIONAL RECOMMENDATIONS THEY HAVE WITH
23 RESPECT TO APPLICATIONS BEYOND THOSE OF THE GRANTS
24 WORKING GROUP.

25 FOLLOWING DR. SAMBRANO'S PRESENTATION OF

BARRISTERS' REPORTING SERVICE

1 THE SCORES, DR. OLSON WILL PRESENT THOSE STAFF
2 RECOMMENDATIONS TO THE BOARD. MR. SHEEHY WILL THEN
3 MODERATE THE APPLICATION REVIEW SUBCOMMITTEE'S
4 PROGRAMMATIC CONSIDERATION OF APPLICATIONS.

5 JUST AS A REMINDER, PROGRAMMATIC
6 CONSIDERATION WAS INTENDED TO ENCOMPASS
7 NONSCIENTIFIC FACTORS SUCH AS PORTFOLIO BALANCE,
8 RELEVANCE TO UNMET HEALTH NEEDS, THE URGENCY OF THE
9 TIMELINE, ALIGNMENT WITH THE FOCUS OF PROP 71, AND
10 ALIGNMENT WITH THE GOALS AND PRIORITIES OF THE
11 REQUEST FOR APPLICATIONS. PROGRAMMATIC
12 CONSIDERATION WILL OBVIOUSLY ALSO TAKE INTO
13 CONSIDERATION THE STAFF RECOMMENDATIONS AND ANY
14 PUBLIC COMMENT.

15 MEMBERS OF THE SUBCOMMITTEE WHO ARE
16 ELIGIBLE TO VOTE MAY MAKE MOTIONS TO MOVE AN
17 APPLICATION FROM ONE TIER TO ANOTHER. AND WE WILL
18 INVITE MEMBERS OF THE PUBLIC WHO WISH TO MAKE A
19 COMMENT WITH RESPECT TO AN APPLICATION THAT IS THE
20 SUBJECT OF A MOTION TO OFFER THAT COMMENT AT THAT
21 TIME. ONCE ALL THE MOTIONS HAVE BEEN EXHAUSTED, MR.
22 SHEEHY WILL ASK FOR A MOTION TO APPROVE FUNDING FOR
23 THOSE APPLICATIONS IN TIER I AND TO CLOSE FUNDING
24 FOR THOSE APPLICATIONS THAT REMAIN. AT THAT POINT
25 IN TIME, WE'LL INVITE PUBLIC COMMENT FROM MEMBERS OF

BARRISTERS' REPORTING SERVICE

1 THE PUBLIC WITH RESPECT TO ANY APPLICATION THAT HAS
2 NOT BEEN THE SUBJECT OF AN INDIVIDUAL MOTION.

3 JUST BRIEFLY, TO REMIND THE BOARD, WE HAVE
4 REPEALED THE EXTRAORDINARY PETITION POLICY WHICH
5 FORMERLY PERMITTED APPLICANTS TO CORRESPOND DIRECTLY
6 WITH THE BOARD ON SCIENTIFIC ISSUES RELATING TO
7 THEIR APPLICATION. IN ITS PLACE THE BOARD ADOPTED
8 AN APPEAL AND REQUEST FOR RECONSIDERATION POLICY
9 PURSUANT TO WHICH APPLICANTS HAVE THE OPTION TO
10 DIRECT AN APPEAL OR REQUEST FOR RECONSIDERATION TO
11 CIRM STAFF BASED EITHER ON A MATERIAL DISPUTE OF
12 FACT OR A REQUEST FOR RECONSIDERATION BASED ON
13 MATERIAL NEW INFORMATION.

14 UNDER THIS POLICY CIRM STAFF REVIEWS THE
15 APPEAL OR REQUEST FOR CONSIDERATION TO DETERMINE
16 WHETHER OR NOT THE APPLICANT HAS SET FORTH CLEAR
17 GROUNDS ESTABLISHING THE OCCURRENCE OF EITHER A
18 DISPUTE OF FACT OR MATERIAL NEW INFORMATION. AND IF
19 THE APPLICANT HAS NOT SET FORTH SUCH GROUNDS FOR AN
20 APPEAL, OR IF THE PRESIDENT DETERMINES THAT IT WOULD
21 NOT HAVE AFFECTED THE OUTCOME, THE APPEAL IS DENIED
22 AND THE GRANTS WORKING GROUP'S RECOMMENDATION IS
23 PRESENTED TO THE BOARD AS IT WAS AT THE GRANTS
24 WORKING GROUP.

25 BY CONTRAST, IF THE STAFF DETERMINES THAT

BARRISTERS' REPORTING SERVICE

1 THE APPLICANT HAS MET THE SHOWING AND THE PRESIDENT
2 DETERMINES THAT ADDITIONAL SCIENTIFIC REVIEW IS
3 WARRANTED, THEN THE BOARD'S CONSIDERATION OF THAT
4 APPLICATION WILL BE DEFERRED UNTIL THAT REVIEW HAS
5 OCCURRED.

6 IN THIS PARTICULAR CASE, AS ALWAYS, CIRM
7 STAFF HAS ADVISED APPLICANTS OF THEIR OPTION TO FILE
8 AN APPEAL OR A REQUEST FOR RECONSIDERATION. AND
9 FIVE APPLICANTS FOR EARLY TRANSLATION IV
10 APPLICATIONS SUBMITTED SUCH APPEALS. THE STAFF'S
11 ACTION ON THOSE APPEALS IS IN YOUR MATERIALS.

12 MEMBERS OF THE PUBLIC, OF COURSE, REMAIN
13 FREE TO OFFER PUBLIC COMMENT, AND THAT INCLUDES
14 APPLICANTS, SOME OF WHOM YOU MAY HEAR TODAY. SO IF
15 YOU HAVE ANY QUESTIONS, CHAIR, I'D BE HAPPY TO
16 ANSWER THEM.

17 CHAIRMAN THOMAS: QUESTIONS FROM MEMBERS
18 OF THE BOARD? I DO WANT TO HIGHLIGHT ONE THING
19 JAMES SAID BECAUSE IT'S VERY IMPORTANT. THE NEW
20 APPELLATE PROCEDURE WAS PUT IN PLACE SPECIFICALLY SO
21 THAT APPEALS ON PARTICULAR PROJECTS WOULD NOT BE
22 HEARD AS A MATTER OF FIRST INSTANCE AT THE BOARD.
23 WE WERE STRIVING TO GET AWAY FROM THAT BECAUSE THAT
24 PUT THE BOARD IN THE POSITION OF HAVING TO MAKE
25 DECISIONS ON THE SPOT WHICH THEY WERE NOT REALLY

BARRISTERS' REPORTING SERVICE

1 PREPARED TO DO BASED ON HAVING ADEQUATE BACKGROUND,
2 REVIEW, ETC., AND THAT'S WHY APPEALS WERE DIRECTED
3 PROPERLY TO STAFF WHO WOULD DO A FULL INVESTIGATION
4 AND REVIEW AND COME BACK TO US WITH RECOMMENDATIONS.

5 SO TO THE EXTENT THAT GOING FORWARD, NOT
6 JUST TODAY, APPEALS ARE NOT MADE THROUGH THAT
7 PROCESS, BUT ARE MADE AS A MATTER OF FIRST INSTANCE
8 HERE AT THE BOARD MEETING, THAT PUTS AN
9 EXCEPTIONALLY HIGH BURDEN ON WHOEVER IS MAKING THAT
10 APPEAL AND IS NOT THE PREFERRED WAY TO GO. SO I
11 WANT TO BE VERY CLEAR ABOUT THAT. WE HAVE THIS, I
12 THINK, VERY GOOD PROTOCOL IN PLACE, AND WE NEED TO
13 BE AWARE OF THAT. YOU WILL SEE HOW THAT HAS WORKED
14 WHEN WE GET A LITTLE BIT LATER IN A FEW MINUTES TO
15 SOME OF THE PROJECTS THAT HAVE BEEN REVIEWED BY
16 STAFF.

17 DR. LUBIN: SO I'D JUST LIKE TO MENTION
18 THIS IS SOMETHING THAT DUANE WAS VERY INVOLVED IN.
19 I TRIED TO HELP WITH THAT A LITTLE, AND IT EVOLVED
20 TO THE PROCESS THAT WE HAVE NOW. AND IT'S
21 REMARKABLE THAT ON TODAY WITH ALL THE HONORING OF
22 DUANE THIS IS ONE OF THE MAJOR STEPS THAT HE MADE
23 RECENTLY. AND I JUST WANTED TO LET THE BOARD KNOW
24 ABOUT THAT.

25 CHAIRMAN THOMAS: THANK YOU, DR. LUBIN.

BARRISTERS' REPORTING SERVICE

1 AND THAT SORT OF COMMENT HAS BEEN MADE REPEATEDLY
2 WITH RESPECT TO ALL SORTS OF STUFF, AS WAS SAID.
3 DUANE SAID THIS. DUANE IS STILL HERE AND WILL
4 ALWAYS REMAIN HERE AS WE MOVE FORWARD.

5 OKAY. SO HAVING HEARD THE PROTOCOL, NOW
6 LET'S TURN TO DR. SAMBRANO.

7 DR. SAMBRANO: THANK YOU VERY MUCH. ALL I
8 REALLY NEED TO DO HERE IS GUIDE YOU THROUGH WHAT I'M
9 PRESENTING ON THE SCREEN, WHICH ARE THE
10 RECOMMENDATIONS FROM THE GRANTS WORKING GROUP SHOWN
11 IN THEIR RESPECTIVE TIERS FOR THE TWO AWARDS TYPES.

12 IT'S GOING TO BE PERHAPS DIFFICULT TO SEE
13 ON THE SCREEN, BUT ONE OF THE THINGS I WANT TO
14 EXPLAIN IS THAT THE GRANTS WORKING GROUP REVIEWED
15 THE DCF, THOSE THAT WERE DEVELOPMENT CANDIDATE
16 FEASIBILITY AWARDS, SEPARATE FROM THE DEVELOPMENT
17 CANDIDATE AWARDS. SO THERE ARE TWO SETS OF TIERS.

18 SO MY RECOMMENDATION TO YOU IS THAT, SINCE
19 THE GRANTS WORKING GROUP CONSIDERED THE DCF
20 APPLICATIONS FIRST, THAT PERHAPS THE BOARD CONSIDER
21 THEM IN THAT ORDER AS WELL AND THEN DO THE DC
22 APPLICATIONS.

23 FOR THE DCF, YOU WILL NOTICE THAT THERE
24 ARE THREE APPLICATIONS THAT FELL IN TIER II. FOR
25 THOSE WE HAVE STAFF RECOMMENDATIONS THAT DR. PAT

BARRISTERS' REPORTING SERVICE

1 OLSON WILL DESCRIBE TO YOU. FOR THE DC AWARDS, THE
2 GRANTS WORKING GROUP DID NOT PLACE ANY APPLICATIONS
3 IN TIER II. SO THERE IS A DISTINCTION BETWEEN THOSE
4 THAT ACTUALLY FELL IN TIER I THAT SCORED 75 OR ABOVE
5 AND THEN THOSE THAT WERE BELOW 64. THERE JUST
6 HAPPENED TO BE NONE THAT FELL IN TIER II.

7 SO IT'S UP TO YOU HOW YOU WISH TO CONSIDER
8 THESE APPLICATIONS, BUT MY SUGGESTION IS THAT YOU
9 BEGIN WITH THE DCF AS CURRENTLY SHOWN ON THE SCREEN.
10 AND DR. OLSON WILL PRESENT THE RECOMMENDATIONS FROM
11 STAFF ON THOSE THAT ARE IN TIER II.

12 DR. OLSON: MEMBERS OF THE BOARD AND THE
13 PUBLIC, THANK YOU FOR THIS OPPORTUNITY. STAFF,
14 WORKING WITH THE PRESIDENT, HAS ACTUALLY SPENT
15 CONSIDERABLE TIME AND BRINGS THE FOLLOWING FORTH FOR
16 YOUR CONSIDERATION. I'D LIKE TO JUST GO THROUGH
17 THESE IN ORDER.

18 SO APPLICATION NUMBER TR4-0666, THIS IS AN
19 APPLICATION. IT IS A DCF FEASIBILITY AWARD. IT IS
20 A TIER II AWARD. IT RECEIVED AN AVERAGE SCORE OF
21 70. THE TITLE OF THIS AWARD IS "HUMAN PLURIPOTENT
22 STEM CELL-DERIVED PHOTORECEPTORS FOR RETINAL
23 DEGENERATIVE DISORDERS." SO I'LL TELL YOU THAT THE
24 DISEASE TARGET IS THOSE RETINAL DEGENERATIVE
25 DISORDERS SUCH AS RETINITIS PIGMENTOSA OR CERTAIN

BARRISTERS' REPORTING SERVICE

1 CONGENITAL RETINAL DISEASE SUCH AS X-LINK CONE OR
2 CONE-ROD DISEASE.

3 THE APPROACH HERE IS AN ALLOGENEIC
4 APPROACH THAT IS AN OFF-THE-SHELF CELL THERAPY, AND
5 IT'S EITHER HESC DERIVED, EMBRYONIC STEM
6 CELL-DERIVED, OR HIPSC DERIVED, INDUCED PLURIPOTENT
7 CELL-DERIVED PHOTORECEPTORS. SO IT'S THE ACTUAL
8 TARGET CELL. THE REQUESTED FUNDING WAS \$1.96
9 MILLION.

10 THE POINTS THAT WE WOULD LIKE TO RAISE FOR
11 YOUR CONSIDERATION IS THAT CIRM IS CURRENTLY FUNDING
12 TWO SIMILAR -- CURRENTLY IS OR WILL BE OR IF YOU
13 ACCEPT THE GRANTS WORKING GROUP RECOMMENDATIONS ON
14 THIS ROUND, WE'RE CURRENTLY FUNDING ONE APPROACH TO
15 THIS, AND THERE IS A HIGHLY RECOMMENDED OR A
16 RECOMMENDED SECOND APPROACH TO THIS. SO ADDITIONAL
17 INVESTMENT OF AN EARLIER STAGE PROJECT IS A LITTLE
18 BIT HARDER TO JUSTIFY.

19 THE ONE APPROACH IS ACTUALLY A DISEASE
20 TEAM APPROACH, WHICH IS AN ALLOGENEIC APPROACH USING
21 RETINAL PROGENITOR CELLS AS OPPOSED TO THE FULLY
22 DIFFERENTIATED CELLS THAT ARE DERIVED FROM TISSUE
23 STEM CELLS. THIS ONE HAS A GOAL OF IND FILING AND
24 COMPLETION OF A PHASE I-II TRIAL.

25 THE ONE THAT IS UP FOR YOUR CONSIDERATION

BARRISTERS' REPORTING SERVICE

1 TODAY, WHICH IS RECOMMENDED BY THE GRANTS WORKING
2 GROUP, IS AN ALLOGENEIC APPROACH USING ESSENTIALLY A
3 3D STRUCTURE. IT'S HESC-DERIVED SHEETS OF
4 PROGENITOR CELLS, AND IT ALSO INCLUDES RETINAL
5 EPITHELIAL CELLS. AND THIS PROJECT HAS A GOAL OF
6 IDENTIFYING A DEVELOPMENT CANDIDATE AWARD.

7 SO BASED ON THE FACT THAT WE MAY HAVE TWO
8 PROJECTS, WE CERTAINLY HAVE ONE PROJECT, TARGETING
9 VERY SIMILAR APPROACHES, OUR RECOMMENDATION TO YOU
10 IS TO NOT FUND THIS AWARD.

11 THE SECOND AWARD THAT WE'D LIKE TO YOU TO
12 CONSIDER IS THE TR4-06823, ALSO A FEASIBILITY AWARD
13 ALSO IN TIER II WITH AN AVERAGE SCORE OF 69. THIS
14 AWARD IS ENTITLED "BETA GLOBIN GENE CORRECTION OF
15 SICKLE CELL DISEASE IN HEMATOPOIETIC STEM CELLS."
16 THE TARGET IS SICKLE CELL DISEASE. THE APPROACH IS
17 AUTOLOGOUS HEMATOPOIETIC STEM CELLS -- SO AUTOLOGOUS
18 MEANS THE PATIENTS THEMSELVES, THEIR CELLS --
19 GENETICALLY MODIFIED EX VIVO TO ACTUALLY CORRECT THE
20 MUTATION IN THE BETA GLOBIN GENE. THE REQUESTED
21 FUNDING FOR THIS AWARD IS 1.815 MILLION.

22 AND THE POINTS -- THIS ONE WE'RE ACTUALLY
23 RECOMMENDING FOR YOUR CONSIDERATION WITH A
24 CONDITION. AND THE POINTS THAT I'D LIKE TO RAISE
25 FOR CONSIDERATION IS WE ARE FUNDING ANOTHER PROJECT

BARRISTERS' REPORTING SERVICE

1 IN SICKLE CELL DISEASE THAT INVOLVES AN ADDITION
2 THROUGH LENTIVIRAL MEDIATED GENE ADDITION OF A FETAL
3 BETA GLOBIN GENE. SO IT HAS A VIRUS INTEGRATION AND
4 IT ADDS A GENE. BUT IT DOES -- WHAT THIS ONE DOES
5 IS IT LEVERAGES THE TEAM AND THE KNOW-HOW THAT IS
6 GAINED IN THAT RELATED PROJECT. IT'S LIKELY,
7 BECAUSE OF THE TECHNOLOGY INVOLVED, TO ENABLE A
8 RAPID PATH TO THE CLINIC FOR A RELATIVELY LOW
9 INVESTMENT OF \$1.8 MILLION.

10 AS I SAID, THE OTHER PROJECT IS DIFFERENT.
11 THE APPROACH HERE IS ACTUALLY A GENE CORRECTION AS
12 OPPOSED TO ANOTHER GENE ADDITION. THE REVIEWERS
13 HAD -- I THINK EVERYBODY DOESN'T KNOW FOR SURE WHAT
14 IT'S GOING TO TAKE TO CORRECT THE SYMPTOMS, BUT
15 BASED ON ALLOGENEIC BONE MARROW TRANSPLANTATION,
16 PEOPLE BELIEVE BETWEEN 10 AND 20 PERCENT. I THINK
17 WE CAN DEAL WITH THAT IN THE MILESTONES.

18 I WOULD LIKE TO NOTE, AND THIS IS THE
19 BASIS FOR OUR CONDITION, WHILE THE PROJECT IS
20 LEVERAGED BY AN IN-KIND CONTRIBUTION OF ESSENTIAL
21 SERVICES AND TECHNOLOGY AND EXPERTISE, IT'S PART OF
22 THE PROPOSAL, THE PARTNER IS PART OF PROPOSAL, BUT
23 THEY DON'T SHOW UP IN TERMS OF ANY KIND OF FINANCIAL
24 CONTRIBUTION OR ANYTHING LIKE THAT. SO THESE ARE
25 KEY -- IT'S A KEY COLLABORATOR. THIS PROJECT CANNOT

BARRISTERS' REPORTING SERVICE

1 BE DONE WITHOUT THIS COLLABORATION.

2 AND SO WE WOULD LIKE TO RECOMMEND THAT YOU
3 FUND WITH A CONDITION WHICH INCLUDES EXECUTION OF A
4 FORMALIZED AGREEMENT WITH THE KEY PROJECT
5 COLLABORATOR AND THAT THAT AGREEMENT IS TO THE
6 SATISFACTION OF CIRM STAFF.

7 THIS ESSENTIALLY ACKNOWLEDGES THE FACT
8 THAT IT'S A CO-FUNDED PROJECT ALMOST AND THAT THE
9 PROJECT CANNOT BE DONE WITHOUT THE KEY CORPORATE
10 COLLABORATOR.

11 THE SECOND IS APPLICATION -- OR THE FINAL
12 ONE THAT WE WANT TO BRING TO YOUR ATTENTION IS
13 APPLICATION TR4-06831, AGAIN A FEASIBILITY AWARD,
14 TIER II, WITH AN AVERAGE SCORE OF 66.

15 THE TITLE OF THIS AWARD IS "GENE THERAPY
16 CORRECTED AUTOLOGOUS HEPATOCYTE-LIKE CELLS FROM
17 INDUCED PLURIPOTENT STEM CELLS FOR TREATMENT OF
18 PEDIATRIC SINGLE ENZYME DISORDERS." SO IT'S
19 TARGETING UREA CYCLE DISORDER. AND THE APPROACH IS
20 AUTOLOGOUS, SO, AGAIN, PATIENT'S OWN CELLS,
21 CONVERTED TO IPSC THAT ARE GENETICALLY MODIFIED EX
22 VIVO TO CORRECT A MUTANT ENZYMES GENE AND THEN
23 DIFFERENTIATED TO HEPATOCYTE-LIKE CELLS FOR
24 TRANSPLANTATION.

25 IT'S \$1.8 MILLION. AND THE POINTS THAT --

BARRISTERS' REPORTING SERVICE

1 THIS ONE WE ACTUALLY ARE ALSO RECOMMENDING, AND THE
2 POINTS FOR CONSIDERATION HERE IS THAT IT IS A
3 DISEASE. THIS PARTICULAR DISEASE IS ONE WHERE THE
4 PERCENTAGE OF ENGRAFTED CORRECTED CELLS REQUIRED TO
5 MAKE A DIFFERENCE FOR DISEASE MODIFICATION IS LOW.
6 AND WHEN YOU THINK ABOUT IT, YOU HAVE TO REALLY
7 EFFICIENTLY TRANSDUCE CELLS TO GET THE CORRECTION
8 AND YOU HAVE TO ENGRAFT. SO THIS LOWER HURDLE IS
9 ACTUALLY AN IMPORTANT TECHNICAL CONSIDERATION.

10 ALSO, ALTHOUGH CIRM HAS THREE OTHER
11 PROJECTS IN ITS TRANSLATIONAL PORTFOLIO, THEY TARGET
12 OTHER LIVER DISEASES AND SEEK TO GENERATE
13 HEPATOCYTE-LIKE CELLS FROM DIFFERENT SOURCES AND BY
14 DIFFERENT APPROACHES. SO THE SUCCESSFUL GENERATION
15 OF HEPATOCYTE-LIKE CELLS ACTUALLY WOULD BE A VERY
16 GOOD THING FOR THE FIELD. THERE'S A LOT OF THINGS
17 YOU COULD DO. SO WE THINK THAT HAVING SEVERAL
18 INVESTIGATORS PURSUING SEVERAL DIFFERENT APPROACHES
19 HERE IS REALLY GOOD. AND IT'S ALSO TRUE THAT A VERY
20 RECENT PUBLICATION HAS COME OUT THAT SUGGESTS AN
21 INCREASED LIKELIHOOD OF GETTING THESE
22 HEPATOCYTE-LIKE CELLS, THAT THERE ARE METHODS NOW
23 THAT PEOPLE ARE FINDING INVOLVING LIVER BUD CELLS
24 THAT WOULD REALLY HELP.

25 SO THOSE ARE THE STAFF POINTS THAT WE'D

BARRISTERS' REPORTING SERVICE

1 LIKE TO RAISE FOR YOUR CONSIDERATION. AND IF ANYONE
2 HAS ANY QUESTIONS, I'LL BE HAPPY TO ANSWER THEM.
3 THANK YOU.

4 CHAIRMAN THOMAS: THANK YOU, DR. OLSON.
5 LET'S TURN IT OVER NOW TO PROGRAMMATIC REVIEW TO
6 MR. SHEEHY. MR. HARRISON.

7 MR. HARRISON: I WAS JUST GOING TO SUGGEST
8 WE TAKE A FIVE-MINUTE BREAK.

9 CHAIRMAN THOMAS: FIVE-MINUTE BREAK BEFORE
10 WE GET TO PROGRAMMATIC.

11 (A RECESS WAS TAKEN.)

12 CHAIRMAN THOMAS: COULD EVERYBODY PLEASE
13 TAKE THEIR SEATS?

14 (THE APPLICATION REVIEW SUBCOMMITTEE
15 WAS THEN CONVENED AND HEARD AS FOLLOWS:)

16 MR. SHEEHY: IS EVERYONE BACK AND READY TO
17 START? YES. SO TWO THINGS. ONE, I KNOW WE'RE
18 GOING TO BE SAYING THIS AD INFINITUM, BUT I THINK
19 DUANE WOULD BE VERY PROUD OF HOW WE'VE IMPLEMENTED
20 THESE CHANGES IN OUR PROCESS. HE SPOKE OFTEN ABOUT
21 GETTING MORE STAFF INPUT ON GRANTS. AND I CERTAINLY
22 APPRECIATE THE PRESENTATION THAT DR. OLSON DID AND
23 THE WORK THAT STAFF HAS DONE IN LOOKING AT THESE
24 GRANTS IN THE MIDDLE SECTION. AND I THINK THIS IS A
25 VERY IMPORTANT FEATURE THAT WE'VE ADDED TO OUR

BARRISTERS' REPORTING SERVICE

1 PROCESS, AND THAT WE'RE GIVING STAFF A CHANCE TO
2 LOOK AT THESE GRANTS, APPLY A CONDITION IN ONE
3 INSTANCE, RECOMMEND ANOTHER, DON'T RECOMMEND
4 ANOTHER. BUT I JUST KNOW DUANE APPRECIATED SO MUCH
5 THE HARD WORK AND THE EXPERTISE THAT WE HAVE HERE AT
6 CIRM, AND REALLY PUTTING THAT BRAIN POWER TO WORK AT
7 THIS POINT IN OUR PROCESS IS A GREAT INNOVATION. SO
8 THANK YOU TO DUANE AND THANK YOU TO STAFF BECAUSE
9 THIS IS SOMETHING THAT'S BEEN A LONG TIME IN THE
10 WORKS.

11 THE OTHER THING THAT I THINK MIGHT BE
12 HELPFUL IS THERE ARE TWO GRANTS THAT ARE RECOMMENDED
13 FOR FUNDING THAT ARE OUTSIDE THE SCORING RANGES AS
14 WE ESTABLISHED THEM. WE DID NOT HAVE PROGRAMMATIC
15 REVIEW AT THE WORKING GROUP. SO I THINK PERHAPS --
16 I'VE ASKED DR. SAMBRANO TO GIVE US A LITTLE BIT OF A
17 SENSE OF WHAT TOOK PLACE AND HOW THOSE GOT THERE
18 BEFORE WE ACTUALLY GO INTO THE REVIEW.

19 DR. SAMBRANO: THANK YOU, MR. SHEEHY.
20 YES, ABSOLUTELY. THERE ARE, IN FACT, TWO
21 APPLICATIONS. ONE OF THEM IS 6648, WHICH HAS A
22 SCORE OF 64, THAT YOU WILL FIND IN TIER I. YOU WILL
23 ALSO FIND ONE APPLICATION, 6809, WITH A SCORE OF 73
24 THAT'S ALSO IN TIER I.

25 SO DURING THE FINAL PHASE OF REVIEW, WE

BARRISTERS' REPORTING SERVICE

1 DID NOT HAVE A PROGRAMMATIC DISCUSSION, BUT WE DID
2 HAVE AN ADJUSTMENT OF SCORE PERIOD WHERE THE WORKING
3 GROUP WAS ABLE TO LOOK AT THE RANK ORDER OF
4 APPLICATIONS AND MAKE ADJUSTMENTS AS NECESSARY.

5 SO MORE SPECIFICALLY, ON APPLICATION 6648,
6 WHICH SCORED A 64, THAT APPLICATION WAS SCORED A 64
7 BECAUSE WE INSTRUCTED REVIEWERS THAT THEY SHOULD
8 SCORE AS THE APPLICATION IS PRESENTED TO THE REVIEW
9 GROUP BY THE APPLICANT. THEY FELT THAT THERE WAS A
10 CRITICAL MILESTONE THAT THEY COULD ADD TO THAT
11 PROPOSAL THAT, IN ESSENCE, WOULD HAVE CHANGED THE
12 SCORE IN THEIR MIND AND MAKE IT A FUNDABLE PROPOSAL.
13 SO ALTHOUGH THE SCORE IS A 64, IT ALSO INCLUDES VERY
14 SPECIFICALLY A CONDITION THAT NOW PLACES IT IN TIER
15 I.

16 THE OTHER PROPOSAL, WHICH IS 6809 WHICH
17 SCORED 73, WAS LOOKED AT BECAUSE IT HAD A MEDIAN
18 SCORE OF 75 WHICH THE GRANTS WORKING GROUP THOUGHT
19 WAS ALREADY WELL WITHIN OR AT LEAST CLOSE ENOUGH TO
20 THE UPPER TIER THAT IT WAS WORTH DISCUSSION. SOME
21 OF THE REVIEWERS WHO WERE ASSIGNED TO THE
22 APPLICATION EXPRESSED TO THE WORKING GROUP THAT
23 THEIR SCORE -- THAT THE SCORE THEY INTENDED SHOULD
24 HAVE BEEN HIGHER. AT THIS POINT THE SCORES HAD BEEN
25 FIXED, SO THERE WAS NO WAY FOR THEM TO OTHERWISE DO

BARRISTERS' REPORTING SERVICE

1 IT. THEY DISCUSSED THE PROPOSAL AND VOTED IN FAVOR
2 OF PLACING IT WHERE THEY THOUGHT IT WAS MORE
3 APPROPRIATE IN TIER I.

4 MR. SHEEHY: THANKS, DR. SAMBRANO. IN
5 TERMS OF THE PROCESS, WHAT I WILL -- THE WAY IN
6 WHICH WE DID IT AT THE WORKING GROUP AND I THINK THE
7 WAY WE'LL PROCEED HERE IN PROGRAMMATIC REVIEW IS
8 BASICALLY A GRANT IS NOT UNDER DISCUSSION UNLESS
9 THERE'S A MOTION AND A SECOND TO MOVE IT FROM ONE
10 CATEGORY TO ANOTHER. SO RATHER THAN JUST HAVING A
11 GENERALIZED DISCUSSION ABOUT THIS GRANT OR THAT
12 GRANT, THAT'S GENERALLY THE PROCESS WE GO THROUGH.

13 SO BEFORE WE START, THOUGH, I THINK JOAN
14 SAMUELSON MAY HAVE HAD SOME COMMENTS THAT SHE WANTED
15 TO MAKE.

16 MS. SAMUELSON: I HAVE DECIDED THAT, AS
17 FAR AS THIS ROUND OF GRANTS IS CONCERNED, I'M GOING
18 TO ABSTAIN ON ALL OF THE GRANTS. AND HERE'S MY
19 REASON. WE'VE TALKED, ESPECIALLY AT THE LAST FEW
20 MEETINGS, ABOUT THE ISSUE OF ASSESSMENT OF THE
21 PORTFOLIO THAT WE HAVE IN LIGHT OF FUTURE FUNDING
22 AND ALSO THE DECLINING FUNDING WE HAVE AVAILABLE.
23 AND I'VE BECOME TOO UNCOMFORTABLE, I THINK, WITH THE
24 AVAILABLE OPTIONS TO US AS A BOARD. AND IN A
25 SITUATION WHICH, BECAUSE OF THE CHANGES IN OUR

BARRISTERS' REPORTING SERVICE

1 PROCEDURES, AND FOR VERY GOOD REASONS, GIVING THE
2 STAFF SOME IMPORTANT INPUT OVER THE MERIT OF THE
3 INDIVIDUAL APPLICATIONS, OUR OPPORTUNITIES FOR
4 EXERCISING OUR FIDUCIARY DUTY HAVE BEEN REDUCED, AND
5 IT MAKES THEM ALL THE MORE IMPORTANT TO MY MIND.
6 AND I AM NO LONGER COMFORTABLE WITHOUT CLEAR
7 INFORMATION ABOUT THE PORTFOLIO WE HAVE ALREADY
8 FUNDED AND THE EXTENT TO WHICH WE'VE ACCOMPLISHED
9 ANY OF THE OBJECTIVES OF THE MISSION AND HOW THAT
10 RELATES TO THESE GIVEN INDIVIDUAL APPLICATIONS AND
11 THE AMOUNT OF MONEY WE HAVE LEFT.

12 I THINK ALL OF THAT HAS TO BE TAKEN INTO
13 CONSIDERATION IN THE SAME CONTEXT, AND I DON'T FEEL
14 WE'RE ABLE WITH THE VOTING OPTIONS WE HAVE NOW. SO
15 THAT'S THE REASON FOR MY VOTE. OF COURSE, I HOPE I
16 WON'T BE DOING THAT UNTIL THE END OF OUR EXISTENCE.
17 I HOPE WE CAN GET THE INFORMATION THAT I FEEL WE
18 NEED AND BE SUFFICIENTLY INFORMED THAT THEN WE CAN
19 TURN TO INDIVIDUAL GRANTS AND BE CONFIDENT WE KNOW
20 WE'RE GETTING THE BEST BANG FOR THE BUCK. THANK
21 YOU.

22 MR. SHEEHY: THANK YOU, JOAN.

23 SO FIRST LOOKING AT THE DEVELOPMENT
24 CANDIDATE FEASIBILITY BRACKETS, WE'LL TAKE THEM IN
25 TWO DIFFERENT BRACKETS, THE DEVELOPMENT CANDIDATE

BARRISTERS' REPORTING SERVICE

1 AND THE DEVELOPMENT CANDIDATE FEASIBILITY. SO THE
2 FIRST AREA IN WHICH A MOTION WOULD BE APPROPRIATE IS
3 IF THERE IS ANY DESIRE TO MOVE ANY OF THE
4 APPLICATIONS THAT ARE IN THE GREEN, THAT IS THE
5 FUNDABLE CATEGORY, OUT OF THAT CATEGORY.

6 AND IF THERE ARE NO MOTIONS TO DO SO, THEN
7 WE MOVE INTO TIER II WHERE I NOTE WE HAVE STAFF
8 RECOMMENDATIONS ON ALL THREE. ARE THERE ANY MOTIONS
9 TO MOVE ANY OF THOSE GRANTS INTO TIER I? AND I'LL
10 JUST ASSUME THAT FAILURE TO MOVE A GRANT INTO TIER I
11 BY DEFAULT LEAVES THAT IN TIER III, WHICH IS
12 UNFUNDABLE. SO WE DON'T HAVE TO ACTUALLY MOVE
13 THINGS OUT OF FUNDABILITY.

14 DR. PRIETO: I'LL START THE DISCUSSION BY
15 MAKING A MOTION TO MOVE GRANT 6823 INTO TIER I.

16 MR. SHEEHY: DO WE HAVE A SECOND?

17 CHAIRMAN THOMAS: I'LL SECOND THAT.

18 MR. SHEEHY: IS THERE ANY DISCUSSION ON
19 THIS? WE DID JUST HAVE THE PRESENTATION BY DR.
20 OLSON ON THE STAFF RECOMMENDATION TO APPROVE IT FOR
21 FUNDING. IF THERE'S NO DISCUSSION, I'M HAPPY TO
22 TAKE PUBLIC COMMENT.

23 MR. TORRES: ON THAT POINT, WAS THERE A
24 SECOND RECOMMENDATION DR. OLSON MADE?

25 MR. SHEEHY: WE'RE JUST GOING GRANT BY

BARRISTERS' REPORTING SERVICE

1 GRANT. I'M SORRY. I DIDN'T SEE YOU, DR. LUBIN.

2 DR. LUBIN: THIS IS JUST A CLARIFICATION.
3 IF YOU'RE IN CONFLICT, YOU CAN'T COMMENT; IS THAT
4 RIGHT?

5 MR. HARRISON: THAT'S CORRECT.

6 MR. SHEEHY: SO IF NO ONE ON THE BOARD
7 WISHES TO COMMENT, I'M HAPPY TO ENTERTAIN PUBLIC
8 COMMENT ON THIS GRANT, WHICH WOULD BE 6823. IS
9 THERE ANYONE WHO WISHES TO MAKE A PUBLIC COMMENT
10 HERE OR AT ANY OF THE SITES?

11 DR. PRIETO: I'D JUST LIKE TO ECHO WHAT
12 DR. OLSON RECOMMENDED TO US AND REMIND THE BOARD
13 THAT THIS IS A GRANT THAT STAFF IS RECOMMENDING FOR
14 FUNDING CONTINUATION OF IMPORTANT WORK THAT WE HAVE
15 FUNDED PREVIOUSLY, SOMETHING THAT WOULD HAVE VERY
16 LARGE POTENTIAL IMPACT.

17 MR. SHEEHY: DR. TROUNSON.

18 DR. TROUNSON: SO, JEFF, JUST IN SUPPORT
19 OF THE CONSIDERATIONS HERE. THIS IS A WAY OF
20 CORRECTING FOR THE WRONG GENE; WHEREAS, THE OTHER
21 STUDIES THAT WE'VE FUNDED WAS TO INSERT A FETAL GENE
22 AND HOPE THAT THE FETAL GENE, THE BETA GLOBIN, THERE
23 WOULD ACCOMMODATE THE PATIENT IN DUE COURSE AND
24 ENABLE THEM TO EVADE THE SICKLE CELL DISEASE.

25 CORRECTING THE GENE, I THINK, IS A MUCH

BARRISTERS' REPORTING SERVICE

1 MORE EFFECTIVE WAY BECAUSE YOU KNOW YOU'RE GOING TO
2 GET THE ADULT GENE. I THINK THE KIND OF WORK THAT
3 THEY'VE BEEN DOING GIVES US SOME CONFIDENCE THAT
4 THEY CAN GET TO THE 10- TO 15-PERCENT CONVERSION OF
5 CELLS, THE HEMATOPOIETIC STEM CELLS, WITH USING THE
6 ZINC FINGER NUCLEASE TECHNOLOGY. SO WE FELT THAT
7 THIS WAS ONE OF THOSE PROJECTS. IT'S QUITE LIKELY
8 TO MOVE ALONG QUITE QUICKLY, PARTICULARLY IF WE
9 ENSURE, AND THAT'S WHAT WE REALLY WANTED YOU TO TAKE
10 NOTE, THAT THERE'S A CO-FUNDER THERE THAT IS A
11 COMMERCIAL ENTITY. AND THEY SHOULD BE FORMALLY
12 INCORPORATED AS A PARTNER. SO IT SUITS US AND IT
13 SUITS THE AGENCY AND CALIFORNIA BETTER TO HAVE THEM
14 RECOGNIZED AS A PARTNER.

15 MR. SHEEHY: THANK YOU, DR. TROUNSON. TO
16 BE CLEAR, THE MOTION THAT'S BEEN MADE AND SECONDED
17 IS TO ACCEPT THE STAFF RECOMMENDATION WHICH INCLUDES
18 THE CONDITIONS THAT HAVE BEEN IMPOSED THERE.

19 DR. PETERSON: CAN I ASK A QUESTION? I
20 LIKE THE PARADIGM. I LIKE THE GOAL OF THIS GRANT.
21 AND THE ONLY THING THAT BOTHERED ME ABOUT IT, AS YOU
22 READ THE, I BELIEVE IT'S, THE GWG ASSESSMENT, IT'S
23 REplete WITH NEGATIVITY ABOUT THE POTENTIAL OF
24 REACHING THAT 10- TO 15-PERCENT LEVEL THAT YOU CITE.
25 AND SO THE ONLY QUESTION I HAD AS A REVIEWER WAS

BARRISTERS' REPORTING SERVICE

1 WHAT PRELIMINARY EVIDENCE DID YOU HAVE THAT THAT, IN
2 FACT, MIGHT COME TO BE?

3 DR. TROUNSON: THIS IS ONE OF THE BEST
4 GROUPS, ONE OF THE BEST GENETIC ENGINEERING GROUPS
5 IN THE COUNTRY, MAYBE THE WORLD. THEIR DATA IN
6 VITRO, IN MY VIEW AND IN STAFF'S VIEW, IS REALLY
7 QUITE SUPPORTIVE OF BEING ABLE TO GET THERE. OF
8 COURSE, YOU DON'T REALLY KNOW UNTIL YOU ACTUALLY GET
9 INTO THE PATIENTS. AND I THINK THAT'S WHERE THE
10 CONCERNS OF THE REVIEWERS WERE, WHETHER THE IN VIVO
11 CONVERSION WILL DO AS WELL.

12 SO I THINK IT'S RIGHT AT THE TOP OF WHAT
13 MOST PEOPLE ARE SAYING IS APPROPRIATE. SO I THINK
14 IT'S THERE. BUT, OF COURSE, WE'LL ONLY KNOW WHEN WE
15 HIT THOSE CLINICAL TRIALS. AND THIS IS SUCH A
16 TERRIBLE DISEASE. IF WE COULD ACTUALLY GET THERE
17 WOULD BE WONDERFUL, AND SO WE'RE SUPPORTIVE FOR THAT
18 POINT OF VIEW.

19 MR. SHEEHY: ARE WE READY FOR ROLL CALL ON
20 THE MOTION? MARIA.

21 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

22 DR. DULIEGE: YES.

23 MS. BONNEVILLE: MARCY FEIT. MICHAEL
24 GOLDBERG.

25 MR. GOLDBERG: YES.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: STEVE JUELSGAARD.
2 MR. JUELSGAARD: YES.
3 MS. BONNEVILLE: FRANCISCO PRIETO.
4 DR. PRIETO: YES.
5 MS. BONNEVILLE: ROBERT QUINT.
6 DR. QUINT: YES.
7 MS. BONNEVILLE: AL ROWLETT.
8 MR. ROWLETT: YES.
9 MS. BONNEVILLE: JOAN SAMUELSON.
10 MS. SAMUELSON: ABSTAIN.
11 MS. BONNEVILLE: JEFF SHEEHY.
12 MR. SHEEHY: YES.
13 MS. BONNEVILLE: OS STEWARD. JONATHAN
14 THOMAS.
15 CHAIRMAN THOMAS: YES.
16 MS. BONNEVILLE: ART TORRES.
17 MR. TORRES: AYE.
18 MS. BONNEVILLE: DIANE WINOKUR.
19 MS. WINOKUR: YES.
20 MR. HARRISON: MOTION CARRIES.
21 MR. SHEEHY: NOW ARE THERE ADDITIONAL
22 MOTIONS TO MOVE A GRANT IN THIS CATEGORY TO TIER I?
23 SENATOR TORRES.
24 MR. TORRES: YES. THE SECOND
25 RECOMMENDATION MADE BY STAFF, WHICH IS TR4-06831.

BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: DO WE HAVE SECOND?

2 DR. PRIETO: SECOND.

3 MR. SHEEHY: DR. PRIETO SECONDS.

4 ANY DISCUSSION? AGAIN, WE HAD THE
5 EXCELLENT PRESENTATION BY DR. OLSON AND ALSO NOTED
6 THAT ONE OF THE ISSUES WAS SOMEWHAT ADDRESSED IN THE
7 NEW PUBLICATION THAT SUGGESTS THAT THEY HAVE A
8 GREATER POSSIBILITY OF SUCCESS.

9 IS THERE ANY PUBLIC COMMENT? I'M SORRY,
10 ALAN.

11 DR. TROUNSON: THAT'S ALL RIGHT, JEFF.
12 THIS IS, OF COURSE, ONE OF THOSE ORPHAN DISEASES.
13 IT'S A METABOLIC DISORDER THAT COULD BE REPAIRED
14 WITH CONVERSION OF 4 PERCENT OF THE CELLS IN THE
15 LIVER. SO IT'S A RELATIVELY LOW BAR COMPARED TO
16 WHAT WE HAD TO ACHIEVE WITH WHAT'S NEEDED TO BE
17 ACHIEVED WITH THE HEMATOPOIETIC STEM CELLS FOR THE
18 OTHER DISEASES.

19 SO THERE HAS ALSO BEEN SOME PRETTY MAJOR
20 ADVANCES MORE RECENTLY, SOME OF WHICH I REPORTED TO
21 YOU JUST OVER THE LAST MONTH, THAT THEY'RE
22 GETTING -- THEY'RE ABLE TO NOW DEVELOP HUMAN LIVER
23 THROUGH TO FUNCTIONAL LIVER IN MICE. AND SO THIS
24 WAS A BIG STEP FORWARD. AND FOR THAT REASON I
25 PERSONALLY SORT OF CHANGED AND BECAME SUPPORTIVE

BARRISTERS' REPORTING SERVICE

1 BECAUSE I THINK IF YOU CAN ACTUALLY DEVELOP THAT
2 LIVER EFFECTIVELY, AND THERE'S NO REASON WHY THEY
3 COULDN'T USE THE SAME TECHNOLOGY, THE CO-CULTURE
4 TECHNOLOGY AS THE JAPANESE SCIENTISTS DID IN THEIR
5 PAPER, THEN I THINK YOU CAN GET THAT 4 PERCENT
6 EFFECTIVE. AND THESE KIDS WON'T SURVIVE LONGER THAN
7 FIVE YEARS IF THEY DON'T GET IT.

8 SO IT'S A SMALL POPULATION, BUT A
9 SIGNIFICANT ONE AND AN ORPHAN DISEASE THAT WE FEEL,
10 AGAIN, IS ONE OF THOSE CONDITIONS THAT YOU MIGHT BE
11 ABLE TO MOVE QUITE QUICKLY ON AND EFFECTIVELY ON,
12 AND THAT'S WHY WE WERE SUPPORTIVE, REALLY SO
13 SUPPORTIVE OF THIS PROJECT.

14 MR. SHEEHY: THANK YOU, DR. TROUNSON.
15 FURTHER COMMENTS, BOARD? STAFF? ON THE PHONE?
16 PUBLIC COMMENT ON GRANT 6831? WE HAVE SOME FOLKS
17 HERE. IF ANYONE AT THE SITES HAS FOLKS, PLEASE LET
18 ME KNOW. PLEASE INTRODUCE YOURSELF FOR OUR
19 TRANSCRIBER.

20 DR. LIPSHUTZ: I'M GERRY LIPSHUTZ. I'M
21 THE LEAD INVESTIGATOR ON THE STUDY YOU'RE PRESENTLY
22 SPEAKING ABOUT, AND WE APPRECIATE THE OPPORTUNITY TO
23 PROVIDE COMMENT ON THIS PROPOSAL THAT ADDRESSES AN
24 UNMET NEED.

25 IN AFFLICTED NEONATES AND CHILDREN, ONE

BARRISTERS' REPORTING SERVICE

1 COMMON THEME IS THE LACK OF THERAPIES ASIDE FROM
2 LIVER TRANSPLANTATION, WHICH ARE PARTICULARLY RISKY
3 AND COMPLICATED IN NEONATES AND CHILDREN. AS A
4 GROUP, THE INCIDENCE OF NEONATAL LIVER DISEASES IS
5 ONE IN 2500 LIVE BIRTHS IN THIS COUNTRY. IT
6 ACCOUNTS FOR 15,000 HOSPITALIZATIONS EACH YEAR.
7 THESE PATIENTS ACCOUNT FOR A SIGNIFICANT FRACTION OF
8 CHILDREN WHO GET LIVER TRANSPLANTS. ABOUT 10
9 PERCENT AND THE VAST MAJORITY ARE FOR METABOLIC
10 LIVER DISEASE WITH 560 CHILDREN UNDERGOING A LIVER
11 TRANSPLANT IN 2010 IN THIS COUNTRY.

12 THIS IS A WIDERANGING CATEGORY AND
13 INCLUDES DEFECTS IN AMINO ACID METABOLISM SUCH AS
14 MAPLE SYRUP URINE DISEASE, TYROSINEMIA, PROPIONIC
15 ACIDEMIA, METHYLMALONIC ACIDEMIA. IT INCLUDES THE
16 UREA CYCLE DEFECTS, INCLUDING OTC DEFICIENCY AND
17 ARGINASE DEFICIENCY, THE ACTUAL DISEASE WE'RE
18 ATTEMPTING TO TREAT WITH THIS GRANT. IT ALSO
19 INCLUDES DEFECTS IN GLYCOGEN METABOLISM AND GLYCOGEN
20 STORAGE DISORDERS. THESE LIMITED TREATMENTS TODAY
21 ARE ONEROUS, POOR TASTING, AND AT BEST ONLY
22 PARTIALLY EFFECTIVE. A COMMON THEME TO THESE,
23 INCLUDING THE UREA CYCLE DISORDERS, IS THAT THEY
24 HAVE METABOLIC DECOMPENSATION.

25 WHILE CHILDREN AWAIT A TRANSPLANT, SOME

BARRISTERS' REPORTING SERVICE

1 WILL HAVE PERIODS WHERE THEY ARE DOING REASONABLY
2 WELL AND THEN THEY'LL HAVE SOMETHING THAT TRIPS THEM
3 OVER THE EDGE WHERE THEY GO INTO A CATABOLIC STATE
4 AND START PRODUCING HIGHLY TOXIC BY-PRODUCTS. THEY
5 GET ELEVATED AMMONIAS, CONFUSION, AND BRAIN
6 SWELLING. IN THE CASE OF THE UREA CYCLE DISORDERS,
7 THE FOCUS OF THIS PROPOSAL, THIS TYPICALLY OCCURS
8 WITH ILLNESSES LIKE A COMMON COLD THAT CAN BE LIFE
9 THREATENING, SUCH AS THEY GO TO THE ICU AND HAVE AN
10 EMERGENCY DIALYSIS TO REMOVE TOXIC METABOLITES. IF
11 THEY SURVIVE, MANY WILL HAVE BRAIN INJURIES AND
12 DEVELOPMENTAL DELAYS.

13 THERE'S AN URGENT NEED FOR IMPROVED
14 THERAPIES, AND WE REQUEST TODAY THAT YOU PLEASE
15 CONSIDER OUR PROPOSAL. I'M HERE TODAY TO ASK YOU TO
16 PLEASE CONSIDER FUNDING THIS AWARD TO DEVELOP NEW
17 THERAPIES FOR CHILDREN AFFLICTED WITH INHERITED
18 LIVER DISEASE AND FOR THEIR PARENTS WHO FACE THEIR
19 OWN LIFETIME OF WORRY. THANK YOU FOR YOUR
20 CONSIDERATION.

21 MS. DE LEON: HELLO. MY NAME IS ROBIN DE
22 LEON, AND I HAVE OTC DEFICIENCY AS WELL AS MY
23 DAUGHTER. I HAVE LOST TWO SONS TO OTC, ONE
24 FOLLOWING HIS LIVER TRANSPLANT. AND THERE IS
25 NOTHING WORSE THAN HAVING TO BURY YOUR CHILD.

BARRISTERS' REPORTING SERVICE

1 LIVING WITH OTC IS HARD. I LIVE BY THE CLOCK,
2 ADMINISTERING MEDS TO MYSELF AND MY DAUGHTER 11
3 TIMES A DAY, COUNTING PROTEIN, COUNTING CALORIES,
4 COUNTING FLUID INTAKE, AVOIDING STRESSFUL SITUATIONS
5 WHICH CAN CAUSE OUR AMMONIA LEVELS TO RISE.

6 I HAVE FOUND THAT OTC CAN SHOW ITS UGLY
7 FACE AT ANY TIME. ONE MINUTE WE'RE OKAY AND THE
8 NEXT MINUTE WE'RE NOT. WE HAVE TO AVOID BEING IN
9 THE HEAT WHICH MAKES US SICK. ONE OF THE HARDEST
10 THINGS FOR ME IS MY LITTLE GIRL. I HAVE TO HOME
11 SCHOOL HER DUE TO GERMS. I DID HAVE HER IN THE
12 PUBLIC SCHOOL AT ONE TIME, AND SHE WAS CATCHING
13 EVERYTHING THAT CAME AROUND, WHICH PUT HER IN THE
14 HOSPITAL. I CAN'T EVEN COUNT THE TIMES SHE'S BEEN
15 HOSPITALIZED. SHE DOESN'T HAVE ANY FRIENDS, WHICH
16 BREAKS MY HEART, SINCE THERE ISN'T ANY SOCIALIZING
17 FOR HER. SHE'S A CHILD AND SHOULD BE OUT HAVING FUN
18 WITH HER FRIENDS. IT'S VERY SAD.

19 WE FEEL SO ISOLATED AT TIMES. SHE CAN'T
20 DO SPORTS BECAUSE OF THE PROTEIN THAT SHE CAN'T HAVE
21 SO SHE CAN'T BUILD MUSCLE. SHE HAS LEARNING
22 DISABILITIES AS WELL, SO AS I. SO MANY TIMES I
23 BECOME CLOUDY WHERE I CAN'T CONCENTRATE OR FOCUS AND
24 I LOSE TRACK OF TIME SINCE MY LEVELS FLUCTUATE ALL
25 DAY LONG. NOT ONLY DOES THIS AFFECT OUR LIVER, BUT

BARRISTERS' REPORTING SERVICE

1 OUR BRAINS AS WELL.

2 I ALONG WITH MY DAUGHTER HAVE PARTICIPATED
3 IN MANY STUDIES FOR UREA CYCLE DISORDER AND IT'S
4 IMPORTANT TO KNOW SO MANY THINGS ABOUT US THAT
5 DOCTORS DIDN'T KNOW SINCE THEY DO PROVIDE DIFFERENT
6 TESTS THAT AREN'T NORMALLY DONE. MY HOPE IS THAT
7 ONE DAY WE FIND A CURE. I CAN'T STRESS ENOUGH THE
8 IMPORTANCE THAT RESEARCH AND STUDIES MEAN TO US
9 BECAUSE WE GET LEFT BEHIND BECAUSE WE ARE A RARE
10 BREED AND DOCTORS NEED TO BE MORE EDUCATED ABOUT US.

11 THANK YOU FOR ALLOWING ME TO HAVE THE
12 OPPORTUNITY TO TALK TO YOU.

13 MR. SHEEHY: THANK YOU.

14 MS. SONTAG: MY NAME IS AMANDA SONTAG. MY
15 ANTHONY HAS UREA CYCLE DISORDER, SPECIFICALLY OTC.
16 HE WAS DIAGNOSED WHEN HE WAS 22 MONTHS OLD AND NEXT
17 MONTH HE WILL BE 16. HE'S A SOPHOMORE IN HIGH
18 SCHOOL, PLAYS BASEBALL, HAS GOOD GRADES, AND
19 ASPIRATIONS TO GO INTO THE MEDICAL FIELD. HE'S BEEN
20 HOSPITALIZED MORE TIMES THAN I CAN COUNT, INCLUDING
21 BEING AIRLIFTED FROM CHOC ADMISSION TO UCLA IN 2010,
22 THE WORST TIME IN OUR LIVES. HE WAS ALMOST IN A
23 COMA WITH ENCEPHALOPATHY SECONDARY TO
24 HYPERAMMONEMIA. WE'VE BEEN VERY FORTUNATE THAT HE'S
25 NOT SUFFERED ANY PERMANENT DISABILITY OR BRAIN

BARRISTERS' REPORTING SERVICE

1 DAMAGE.

2 MANAGING A CHILD WITH UREA CYCLE DISORDER
3 MEANS NEVER ENDING MONITORING OF EVERYTHING THAT
4 GOES IN HIS MOUTH, MAKING SURE ALL MEDICINE, MEDICAL
5 FORMULA AMINO ACIDS ARE CONSUMED EVERY DAY. HAVING
6 BLOOD TESTS TAKEN EVERY FEW MONTHS ARE A PART OF HIS
7 LIFE FOREVER.

8 THE WORRY WHEN HE IS AROUND OTHER PEOPLE
9 WITH A COLD OR A FLU IS ENORMOUS SINCE THIS CAN
10 BECOME METABOLICALLY UNSTABLE VERY EASILY AND
11 USUALLY RESULTS IN HOSPITALIZATION. BY ALL
12 STANDARDS ANTHONY WOULD BE CONSIDERED VERY HIGH
13 LEVEL AND VERY LUCKY.

14 BY THE GOOD FORTUNE OF HAVING GREAT
15 DOCTORS AT UCLA, I'M HERE TO POINT OUT THAT THERE
16 ARE MANY OTHER FAMILIES WHICH THE DISORDER WAS
17 DISCOVERED IN A SIMILAR MANNER HAVE HAD FAR LESS
18 FAVORABLE OUTCOMES. THEY LIVE WITH SEVERELY
19 DISABLED CHILDREN OR HAVE LOST THEM COMPLETELY DUE
20 TO THIS TERRIBLE DISORDER.

21 MANY ADULTS AND CHILDREN FIND THE MEDICAL
22 FORMULA INTOLERABLE TO SWALLOW AND REQUIRE G TUBE OR
23 NG TUBE PLACEMENT TO IMPROVE METABOLIC STABILITY.

24 WHEN YOU BECOME ON A FIRST NAME BASIS WITH
25 ER AND ICU NURSES AND DOCTORS, YOU'VE SEEN EACH

BARRISTERS' REPORTING SERVICE

1 OTHER TOO MUCH. UNFORTUNATELY THIS IS THE LIFE OF
2 LIVING WITH UREA CYCLE DISORDER. WE HAVE
3 UNCERTAINTY EVERY DAY AND THE WORRY WILL NEVER END.

4 OUR FAMILY HAS ALWAYS BEEN SUPPORTIVE OF
5 RESEARCH FOR UREA CYCLE DISORDERS. BOTH MY SON AND
6 MYSELF, BEING A CARRIER, HAVE BEEN PARTICIPATING IN
7 LONGITUDINAL STUDIES FOR SEVERAL YEARS NOW. ANTHONY
8 JUST FINISHED PARTICIPATING IN THE DRUG TRIAL WHICH
9 HE STARTED IN PHASE I FOR SEVERAL YEARS CALLED HPN
10 100, WHICH RECENTLY BECAME FDA APPROVED AND IS NOW
11 CALLED RAVICTI.

12 WE KNOW THE POTENTIAL FOR A CURE FOR
13 ANTHONY AS WELL AS COUNTLESS OTHERS LIE IN STEM CELL
14 RESEARCH. ENZYME REPLACEMENT THERAPY IS NOT A
15 FEASIBLE CURE FOR OTC SINCE IT COULD ONLY WORK IN
16 THE LIVER MITOCHONDRION. IF DR. LIPSHUTZ IS
17 SUCCESSFUL IN HIS APPROACH OF STEM CELL THERAPY,
18 THIS WOULD TAKE OFF THE BURDEN OF CONSIDERING LIVER
19 TRANSPLANT OR LIFELONG LOW PROTEIN DIET MANAGEMENT,
20 EXTRAORDINARILY EXPENSIVE MEDICATION, AND
21 UNCERTAINTY.

22 EVEN THOUGH THIS PROJECT IS FOCUSED ON
23 ARGINASE DEFICIENCY, IT IS APPLICABLE FOR OTC AS
24 WELL. WHEN ANTHONY WAS DIAGNOSED, MY HUSBAND AND I
25 HAD A DREAM OF MAYBE THERE WILL BE A CURE WHEN HE'S

BARRISTERS' REPORTING SERVICE

1 IN HIGH SCHOOL. NOW OUR DREAM IS FOR MAYBE WHEN HE
2 GRADUATES COLLEGE. YOU CAN HELP THIS DREAM COME
3 TRUE BY FUNDING THIS PROJECT. THANK YOU.

4 MR. SHEEHY: THANK YOU.

5 MS. WILSON: I WOULD LIKE TO THANK THE
6 BOARD FOR YOUR CONSIDERATION OF TR4-06831. UREA
7 CYCLE PATIENTS ARE TORTURED BY THE INABILITY TO EAT
8 NORMALLY OR NOTHING BUT CRUMBS MADE UP OF HORRIBLE
9 TASTING FOODS, SUBJECTED TO MEDICATIONS AND
10 TREATMENTS THAT MOST OF US COULD NEVER ENDURE. THEY
11 ENDURE MEDICATIONS THAT BURN GOING DOWN, BURN COMING
12 BACK UP, AND OFTENTIMES DAMAGE THE ESOPHAGUS BEYOND
13 REPAIR. ONE MEDICATION CAN COST THOUSANDS A MONTH,
14 AND THESE PATIENTS TAKE MANY. G TUBES, DIARRHEA,
15 SEIZURES, WHEELCHAIRS, LEG BRACES, WALKERS, BLOOD
16 DRAWS, HOSPITALIZATIONS, EXTREME PRAYING, AND
17 RETARDATION ARE OFTEN THE WORLD THAT THESE PEOPLE
18 ARE CAUGHT IN.

19 IN 1995 OUR SON WAS DIAGNOSED WITH
20 ARGINASE DEFICIENCY. IMMEDIATELY HE STARTED
21 THROWING UP ON A BAD DAY 15 TIMES A DAY. AMMONIA
22 LEVELS WOULD GO OUT OF CONTROL. JACKSON'S
23 ACTIVITIES WERE SEVERELY RESTRICTED BECAUSE OF HIS
24 VOMITING AND FALLING. DAYS AND NIGHTS WERE SPENT IN
25 BED OR IN HOSPITALS, UNABLE TO STOP HIS VOMITING OR

BARRISTERS' REPORTING SERVICE

1 SEIZURES. WITH ALL THIS, WE WERE COMMITTED TO
2 LIVING A NORMAL LIFE. BUT WITH CONSTANT VOMITING,
3 IT WAS DIFFICULT FOR US AND VERY UNCOMFORTABLE FOR
4 OTHERS. SO WE WOULD TAKE ALONG A BUCKET AND A
5 TOWEL. ON EACH OF OUR OUTINGS, JACKSON, BY THE WAY,
6 THREW UP 125 DAYS 15 TIMES A DAY. IMAGINE IF THAT
7 WERE YOUR CHILD. SLEEPLESS NIGHTS, SPENDING HOURS
8 MAKING NECESSARY MEDICATIONS, DRINKS, AND HORRIBLY
9 NASTY FORMULAS, DEVASTATED WATCHING DOCTORS INJECT
10 BOTOX THROUGHOUT THE CALVES OF HIS LEGS WHILE THEY
11 HELD HIM DOWN SCREAMING.

12 LIFE SEEMED IMPOSSIBLE FOR US. WE WENT ON
13 BLAMING EACH OTHER, BLAMING THE DOCTORS IN SOME
14 INSTANCES, AND WORST OF ALL WE BLAMED GOD. JACKSON
15 IS NOT A GOOD EXAMPLE OF SOMEONE THAT REALLY WOULD
16 BENEFIT GREATLY FROM YOUR GENEROSITY. THE PATIENTS
17 THAT WOULD REALLY BENEFIT FROM YOUR GIFT AND YOUR
18 CONSIDERATION EITHER PHYSICALLY CAN'T MAKE THIS TRIP
19 DOWN HERE AND SEEK YOUR SUPPORT OR THEY CAN'T AFFORD
20 IT. THE YEARS OF EXPENSIVE DRUGS AND TREATMENT HAVE
21 LEFT THEIR FINANCES DEPLETED AND ALL BUT GONE. AND
22 IN SOME CASES THEY'VE JUST SIMPLY GIVEN UP AND DON'T
23 WANT TO COME.

24 WE'RE HERE FOR THE CHILDREN THAT CAN'T
25 DEFEND THEMSELVES OR SPEAK UP AND TELL YOU WHY YOU

BARRISTERS' REPORTING SERVICE

1 SHOULD MAKE A FAVORABLE DECISION TO SUPPORT DR.
2 LIPSHUTZ AND THE UCLA RESEARCH TEAM FOR UREA CYCLE.
3 I WAS GOING TO SHOW YOU A BUCKET LIKE THE ONE WE
4 USED TO USE WITH THE TOWEL AND LET YOU THINK IF THAT
5 WAS YOUR CHILD HOW IT WOULD FEEL TO TAKE AROUND
6 EVERYWHERE YOU WENT. IT'S PRETTY HARD TO DO, AND
7 JUST VISUALIZE YOUR CHILD'S PICTURE ON THAT. I HAVE
8 SIX BUCKETS OF THE PEOPLE THAT WE KNOW THAT USE THEM
9 WITH THEIR PICTURES ON THERE. YOU'LL JUST HAVE TO
10 KIND OF IMAGINE WHAT THAT WOULD LOOK LIKE.

11 YOU CAN GRANT DR. LIPSHUTZ ABILITY TO CURE
12 UREA CYCLE AND OTHER DEFECTS. EACH OF YOU HERE
13 TODAY CAN SAVE OTHER PATIENTS AND PARENTS FROM THE
14 PAIN OF ABSOLUTE DEVASTATION OF LIVING WITH UREA
15 CYCLE. YOU CAN BE THE ONE THAT MAKES THE
16 DIFFERENCE. WHAT IF IT WERE YOUR CHILD? WHAT WOULD
17 YOUR DECISION BE? AND I WONDER WHAT DUANE ROTH
18 WOULD THINK AND DECIDE ON. THANK YOU FOR THIS. MY
19 NAME IS LEATHY WILSON.

20 MR. SHEEHY: THANK YOU.

21 MS. WILSON: THANK YOU VERY, VERY MUCH,
22 SIR.

23 MS. FUKUDA: MY NAME IS JEAN FUKUDA, AND
24 I'M JACKSON FUKUDA'S MOTHER WHO HAS ARGINASE
25 DEFICIENCY. AND LEATHY JUST TOUCHED UPON ALL THE

BARRISTERS' REPORTING SERVICE

1 HARDSHIP THAT WE WENT THROUGH WHEN HE WAS FIRST
2 DISCOVERED WITH ARGINASE DEFICIENCY. AND I JUST
3 WANT TO FAST FORWARD THIS TEN YEARS. NOW JACKSON IS
4 22 YEARS OLD. AND I JUST WANT TO TOUCH LITTLE BIT
5 ON THE EXPENSE OF JUST KEEPING HIM HEALTHY. THIS IS
6 EVERYDAY LIFE.

7 EVERY DAY HE HAVE TO HAVE THIS METABOLIC
8 DRINK, WHICH IS THIS CONTAINER. THIS IS \$255, THREE
9 AND A HALF DAYS SUPPLY, PLUS PROFREE, WHICH IS \$55 A
10 DAY -- I'M SORRY -- \$55 A CAN. IT'S
11 THREE-AND-A-HALF-DAY SUPPLY. AND WE MIX THIS WITH
12 LEMON JUICE, AND THE ONE-MONTH SUPPLY OF THIS
13 PRODUCT IS \$2,840. ON TOP OF THAT, THIS IS HOW MUCH
14 HE HAVE TO TAKE EVERY DAY, AND IT'S MIXED WITH LEMON
15 JUICE. IT MAKES ABOUT 20 FLUID OUNCES OF DRINK.
16 AND CAN YOU IMAGINE TEN YEARS OUR KID, ME TRYING TO
17 HAVE HIM TAKE 20 OUNCES OF THIS YUCKY STUFF. ON TOP
18 OF THAT, HE HAVE TO TAKE 20 CAPSULES EVERY DAY OF
19 THIS SODIUM BENZOATE. AND YOU COULD IMAGINE TEN
20 YEARS, TRYING TO TAKE 20 CAPSULES EVERY DAY.

21 AND ALSO WE PACKED THIS OURSELVES. THIS
22 IS HAND PACKED WITH VEGETARIAN CAPSULES BECAUSE THIS
23 SODIUM BENZOATE IS REALLY BAD TASTING. IT BURNS
24 YOUR THROAT AS HE TAKES IT. SO THIS IS WHAT WE DO.
25 WE PACK THIS. THIS IS ONLY ABOUT TWO DAY'S SUPPLY,

BARRISTERS' REPORTING SERVICE

1 SO YOU CAN IMAGINE WE SPEND A LOT OF TIME PACKING
2 THESE CAPSULES.

3 AND ALSO JACKSON'S INSURANCE RUNS ABOUT
4 \$741 A MONTH, AND IT'S BEEN GOING UP 15 PERCENT
5 EVERY MONTH. AND TO MAINTAIN HIS HEALTH, EVERY SIX
6 MONTHS HE GETS COMPLETE BLOOD TEST ON TOP OF
7 MAINTAINING DETAILED ACCOUNTS OF EVERYTHING THAT HE
8 EATS EVERY DAY. AND AS A RESULT OF THIS TEST, PLUS
9 THIS, WE DETERMINE HOW MUCH PROTEIN AND HOW MUCH
10 CALORIES HE COULD TAKE EVERY DAY, AND THIS GOES ON
11 EVERY DAY. JUST TO KEEP JACKSON'S HEALTH, WE HAVE
12 SEVERAL TEAMS OF DOCTORS WORKING WITH US, METABOLIC
13 TEAM, ORTHOPEDIC TEAM TO MONITOR HIS OSTEOPOROSIS
14 AND SPASTICITY, ALSO JUST TO MONITOR HIS GLAUCOMA
15 NOT KNOWING HIGH CONCENTRATION OF ARGININE BUILDUP
16 ON HIS VISION. SO WE'RE NOT SURE ABOUT THAT. AND
17 ALSO DENTIST TO MAKE SURE THAT HIS TEETH IS NOT
18 AFFECTED BY LOWER INTAKE OF PROTEIN. AND THIS IS
19 HIS LIFE FOR REST OF HIS LIFE. THIS IS OUR REGIMENT
20 WE HAVE TO DO EVERY DAY TO KEEP HIS HEALTH.

21 SO WE'RE JUST REALLY HOPING THAT THIS WILL
22 BE APPROVED. AND NOT ONLY THAT, BUT THE NEW BONE
23 SCREENING TEST IS NOW INCLUDING UREA CYCLE DEFECT,
24 SO THERE'S GOING TO BE A LOT MORE BABIES THAT'S
25 GOING TO BE DISCOVERED WITH THIS DISEASE. SO IT'S

BARRISTERS' REPORTING SERVICE

1 SO IMPERATIVE THAT THESE BABIES GET HELP THAT THEY
2 NEED SO THEY COULD HAVE NORMAL LIFE. AND NOW YOU
3 GET TO MEET MY SON JACKSON.

4 MR. SHEEHY: THANK YOU.

5 MR. FUKUDA: I'M JACKSON FUKUDA. I'M THE
6 SON OF JEAN FUKUDA AND LEATHY WILSON. I'M 22 YEARS
7 OLD AND I WILL SOON BE GRADUATING FROM CALIFORNIA
8 BAPTIST UNIVERSITY WITH A DEGREE IN GRAPHIC DESIGN.

9 AS PREVIOUS SPEAKERS HAVE OUTLINED, THE
10 MEDICATION IS PRIMARILY THE WORST PART OF IT. BOTOX
11 SPECIFICALLY, EVEN THOUGH I'VE HAD IT MANY YEARS
12 AGO; BUT AS THEY HAVE OUTLINED, THE PAIN WAS EXTREME
13 AND ACTUALLY THEY HAD TO HOLD ME DOWN IN CASE I
14 WOULD HURT MYSELF OR OTHERS.

15 WE'VE TRIED OTHER MEDICATIONS THAT HAVE
16 LEFT DAMAGE TO THE ESOPHAGUS AND STOMACH OF MYSELF
17 AND OTHER PATIENTS. SO FAR THE ONLY ONE THAT SEEMS
18 TO WORK IS SODIUM BENZOATE THAT IS TAKEN WITH
19 CAPSULES.

20 THERE ARE OTHER RESTRICTIONS SUCH AS
21 EXERCISES, AND PHYSICAL ACTIVITIES CAN BE LIMITED
22 DUE TO HOW THE BODY DEVELOPS IF YOU DON'T HAVE
23 ENOUGH MUSCLE OR THE BONES ARE WEAK OR SOMETHING
24 THAT ALLOWS US NOT TO BE ABLE TO DO MUCH. I WAS A
25 LUCKY CASE, AND I'M NOT A GOOD EXAMPLE OF SOMEONE

BARRISTERS' REPORTING SERVICE

1 WHO NEEDS ALL THIS FUNDING BECAUSE I PRETTY MUCH HIT
2 THE PLATEAU OF WELLNESS FOR SOMEONE WITH THIS
3 DISORDER. THE PEOPLE WHO NEED IT ARE YOUNGER OR
4 THEY'RE NOT EVEN BORN YET AND WHO WILL HAVE THIS
5 DISORDER. THERE MAY BE PEOPLE WHO ARE SUFFERING
6 WITH IT CURRENTLY AND THEIR LIVES ARE A LOT WORSE
7 THAN MINE. I'M THE ONLY ONE SO FAR THAT HAS MADE IT
8 THIS FAR. NO ONE ELSE HAS. AND I'M GOING TO HOPE
9 THAT I'M NOT GOING TO BE THE LAST ONE THAT MAKES IT
10 THIS FAR.

11 MR. SHEEHY: THANK YOU. I JUST WANT TO
12 THANK ALL OF YOU FOR COMING AND SHARING YOUR STORIES
13 AND YOUR SACRIFICES. I KNOW, SPEAKING FOR MY FELLOW
14 BOARD MEMBERS, WE'RE ALL MOVED DEEPLY AND OUR HEARTS
15 GO OUT TO YOU.

16 ARE THERE FURTHER COMMENTS FROM ANY OTHER
17 SITE?

18 DR. DULIEGE: I JUST WANT TO SECOND WHAT
19 YOU JUST SAID ON BEHALF OF ALL OF US, INDEED, THE
20 FIRST TIME FROM YOU AND WANTING TO THANK YOU FOR
21 YOUR COURAGE TO SHARING YOUR STORIES WITH US.

22 MR. SHEEHY: I THINK WE'RE READY FOR A
23 ROLL CALL. AND THE MOTION IS TO APPROVE THIS GRANT.

24 DR. DULIEGE: SECOND.

25 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

BARRISTERS' REPORTING SERVICE

1 DR. DULIEGE: YES.
2 MS. BONNEVILLE: MARCY FEIT. MICHAEL
3 GOLDBERG.
4 MR. GOLDBERG: YES.
5 MS. BONNEVILLE: STEVE JUELSGAARD.
6 MR. JUELSGAARD: YES.
7 MS. BONNEVILLE: FRANCISCO PRIETO.
8 DR. PRIETO: AYE.
9 MS. BONNEVILLE: ROBERT QUINT.
10 DR. QUINT: YES.
11 MS. BONNEVILLE: AL ROWLETT.
12 MR. ROWLETT: YES.
13 MS. BONNEVILLE: JOAN SAMUELSON.
14 MS. SAMUELSON: ABSTAIN.
15 MS. BONNEVILLE: JEFF SHEEHY.
16 MR. SHEEHY: YES.
17 MS. BONNEVILLE: OS STEWARD. JONATHAN
18 THOMAS.
19 CHAIRMAN THOMAS: YES.
20 MS. BONNEVILLE: ART TORRES.
21 MR. TORRES: AYE.
22 MS. BONNEVILLE: DIANE WINOKUR.
23 MS. WINOKUR: YES.
24 MS. BONNEVILLE: MOTION CARRIES.
25 MR. SHEEHY: AGAIN, THANK YOU FOR COMING

BARRISTERS' REPORTING SERVICE

1 TODAY.

2 DO WE HAVE ADDITIONAL MOTIONS TO MOVE
3 ANYTHING IN TIER II OR TIER III INTO THE FUNDABLE
4 CATEGORY?

5 CHAIRMAN THOMAS: JAMES, THE APPROPRIATE
6 QUESTION AT THIS POINT IS DO WE HAVE MOTIONS TO MOVE
7 ANYTHING FROM TIER III; IS THAT CORRECT?

8 MR. GOLDBERG: YES, WE HAVE A MOTION.

9 MR. SHEEHY: ARE YOU MAKING THE MOTION?

10 MR. GOLDBERG: YES.

11 MR. SHEEHY: TO MOVE WHICH GRANT?

12 MR. GOLDBERG: TR4-06888, SPINAL CORD
13 INJURY.

14 MR. SHEEHY: I DON'T THINK WE'RE IN THAT
15 CATEGORY YET. THAT'S A DEVELOPMENT CANDIDATE.

16 MR. GOLDBERG: MY APOLOGIES.

17 MR. SHEEHY: I THINK WHAT WE'RE LOOKING AT
18 IS ARE THERE ANY MOTIONS TO MOVE ANYTHING REMAINING
19 INTO TIER I?

20 DR. DULIEGE: JEFF, IT'S NOT YET A MOTION,
21 BUT THINGS WENT VERY QUICKLY, AND I HAD A QUESTION
22 REGARDING 06666. IS THAT ACCEPTABLE NOW TO ASK A
23 QUESTION?

24 MR. SHEEHY: THAT'S IN THE OTHER CATEGORY.
25 WE HAVE TWO CATEGORIES OF GRANTS. THAT'S HERE. I'M

BARRISTERS' REPORTING SERVICE

1 SORRY.

2 DR. DULIEGE: I THINK IT'S IN THIS
3 CATEGORY. THAT WAS THE FIRST ONE. SO IT'S 6666.
4 MAY I ASK A QUESTION?

5 MR. SHEEHY: SURE.

6 DR. DULIEGE: THE RECOMMENDATION WAS NOT
7 TO FUND, AND ONE OF THE CONSIDERATIONS WAS THAT
8 THERE WERE ALREADY SIMILAR APPROACHES THAT WERE
9 FUNDED. UNDERSTANDING THAT WE HAVE TO BE
10 PARTICULARLY CAREFUL BECAUSE THERE IS MORE AND MORE
11 LIMITED MONEY TO SPEND, I'D LIKE TO HAVE A LITTLE
12 BIT MORE COMMENTS ON THAT FROM THE DISEASE WORKING
13 TEAM BECAUSE, INDEED, THERE HAVE BEEN OTHER MOTIONS
14 THAT HAVE BEEN RECOMMENDED FOR FUNDING AND YET THERE
15 WERE SEVERAL OTHER APPROACHES. SO JUST VERIFICATION
16 ON THIS. THANK YOU.

17 DR. VUORI: CAN I QUICKLY FOLLOW UP ON
18 THAT? SO IF THE STAFF MADE THE COMPARE AND CONTRAST
19 THIS TR4-06666 IN THE DCF CATEGORY TO THE DC
20 CATEGORY, APPLICATION TR4-06648, THAT WAS MOVED WITH
21 THE CONDITION TO TIER I. HOW DO THESE TWO
22 APPROACHES COMPARE AND CONTRAST EACH OTHER?

23 DR. TROUNSON: I THINK DR. OLSON IS GOING
24 TO RESPOND TO THAT.

25 DR. STEFFEN: I'M DR. STEFFEN. WHAT I

BARRISTERS' REPORTING SERVICE

1 THINK WE'RE GOING TO DO IS GIVE YOU INDIVIDUAL
2 PRESENTATION ON THE APPLICATION 6666, WHICH IS THE
3 ONE YOU ASKED ABOUT, AND THEN ANOTHER SCIENCE
4 OFFICER IS GOING TO ADDRESS THE OTHER APPLICATION,
5 AND THEN DR. OLSON THE COMPARISON TO THE DISEASE
6 TEAM AWARD.

7 SO APPLICATION 6666 IS TO DEVELOP A
8 FEASIBILITY ASSESSMENT TO INVESTIGATE DEVELOPING
9 HUMAN EMBRYONIC STEM CELL OR HUMAN INDUCED
10 PLURIPOTENT STEM CELL-DERIVED PHOTORECEPTOR CELLS AS
11 POTENTIAL THERAPY FOR PATIENTS WITH INHERITED
12 RETINAL DISORDERS. LIKE THE MORE COMMON MACULAR
13 DEGENERATION, THEY CAN BOTH CAUSE BLINDNESS, BUT
14 THIS PRIMARILY AFFECTS A YOUNGER POPULATION, SOME OF
15 THESE IN CHILDREN AND SOME MOSTLY IN THEIR FOURTH
16 DECADE OF LIFE.

17 SO THIS APPLICANT PROPOSES TO OPTIMIZE THE
18 DIFFERENTIATION PROTOCOL TO ACHIEVE THE
19 PHOTORECEPTORS, AND THEN SELECT THE MOST APPROPRIATE
20 EITHER EMBRYONIC STEM CELL OR IPS CELL LINE AND THEN
21 DEVELOP ENRICHMENT METHODS AND ASSAYS TO GET THAT
22 ACTUAL PHOTORECEPTOR AND TEST THE OPTIMAL
23 TRANSPLANTATION PARAMETERS IN TWO LABORATORY MODELS
24 OF THE INHERITED RETINAL DEGENERATION, KIND OF THAT
25 CLASSIC PRECLINICAL RESEARCH SPACE.

BARRISTERS' REPORTING SERVICE

1 I'M GOING TO BE GO THROUGH THE REVIEWER
2 COMMENTS. THEY WERE QUITE POSITIVE ABOUT THE
3 STRUCTURE OF THE GRANT, THE OBJECTIVE OF THE
4 PROPOSAL TO GENERATE THE PHOTORECEPTOR CELLS,
5 SIGNIFICANT AND IMPORTANT IN FOCUSING ON THESE
6 SPECIFIC PHOTORECEPTOR CELLS. THE MILESTONES WERE
7 PRESENTED IN A LOGICAL FASHION FOR ACHIEVING THE
8 PRECLINICAL PROOF OF CONCEPT IN A PRELIMINARY
9 STATUS.

10 THE RATIONALE AND SIGNIFICANCE, THEY ARE
11 USING A DIFFERENT APPROACH -- SORRY. IN GENE
12 THERAPY FOR THESE INHERITED RETINAL DISORDERS, THE
13 FIELD KNOWS THAT JUST A SMALL INCREMENTAL INCREASE
14 OF PHOTORECEPTOR RESTORATION CAN MAKE A SIGNIFICANT
15 CLINICAL DIFFERENCE. SO THE REVIEWERS COMMENTED
16 THAT THEY FEEL COMFORTABLE ABOUT A CELL-BASED
17 THERAPY APPROACH, KNOWING THAT A SMALL INCREMENTAL
18 CHANGE CAN MAKE A CLINICAL BENEFIT.

19 THE RATIONALE TO PURSUE THE PHOTORECEPTOR
20 CELL IS STRONG, AND A RELIABLE, WELL-CHARACTERIZED
21 SOURCE OF RETINAL CELLS WOULD BE USEFUL TO THE FIELD
22 FOR TISSUE REPLACEMENT PURPOSES.

23 THEY ALSO COMMENTED THAT THIS PARTICULAR
24 PROJECT WOULD LIKELY INCREMENTALLY ADVANCE THE
25 FIELD, BUT ACKNOWLEDGED THERE WERE NO TREATMENTS.

BARRISTERS' REPORTING SERVICE

1 SO THAT IS A STEP IN THE RIGHT DIRECTION.

2 THE CHALLENGE FOR THIS AWARD WITH THE
3 REVIEW GROUP WAS IN ITS FEASIBILITY AND DESIGN. AND
4 WHILE THEY HAD SOME STRONG DATA SHOWING THAT
5 INDUCTION OF THE CELLS COULD BE ACHIEVED FOR RETINAL
6 MARKERS, THERE WAS A VERY LOW EFFICIENCY GOING TO
7 THE FINAL PHOTORECEPTOR STAGE. AND A CHALLENGE FOR
8 THAT PROJECT WILL BE TO INCREASE THE EFFICIENCY OF
9 THE PROCESS AND ALSO IMPROVE THE STABILITY OF THAT
10 FINAL CELL PHENOTYPE. WHEN THEY ACHIEVE THE
11 PHOTORECEPTOR, THE CELL WAS MOVING AWAY FROM THAT
12 FINAL DESIRED CELL TYPE. SO GETTING STABILITY OF
13 THAT FINAL PRODUCT.

14 AND THEN THERE WAS A LOT OF DISCUSSION
15 ABOUT THE OUTCOME MEASURES AND THE EXPERIMENTS AS
16 THEY WERE DESIGNED TO ASSESS THE PROOF OF CONCEPT.
17 AND THERE HAD BEEN A RECENT PAPER ABOUT A YEAR AGO
18 SHOWING THAT THE PLANNED READOUT IN THE APPLICATION
19 WOULD BE INADEQUATE FOR MEASUREMENT OF FUNCTIONAL
20 BENEFIT. SO ANOTHER SCIENTIST HAD COME ALONG AND
21 SAID THAT TISSUE INTEGRATION WAS ACTUALLY
22 INSUFFICIENT TO PREDICT FUNCTIONAL BENEFIT AND
23 SUGGESTED THAT MORE EXPERIMENTS BE DONE.

24 AND THEN OPTIMIZING THE DIFFERENTIATION
25 FOR THE PHOTORECEPTOR CELLS WERE CONSIDERED A

BARRISTERS' REPORTING SERVICE

1 SIGNIFICANT AMOUNT OF WORK CRITICAL TO THE SUCCESS
2 OF THE PROJECT AND ACKNOWLEDGED AS A RISK.

3 SO THAT WAS REALLY THE CRUX OF THE
4 DISCUSSION ON THE APPLICATION.

5 THE PI WAS CONSIDERED TO HAVE COME FROM A
6 VERY PROMINENT LABORATORY THAT WORKS ON THE RETINA
7 AND THESE CELL TYPES, WAS A NEWLY APPOINTED
8 INVESTIGATOR IN 2001 AT THE APPLICANT INSTITUTION,
9 AND SINCE THAT TIME PRODUCTIVITY HAS BEEN A LITTLE
10 BIT MORE MODEST. AND THEN THE GRANTS REVIEW WAS
11 CONCERNED THAT A NUMBER OF KEY PROJECT TEAM MEMBERS
12 WERE LISTED AS TO BE HIRED. SO WITH A VERY
13 CHALLENGING PROJECT AND A NUMBER OF STAFF NOT YET IN
14 PLACE, IT WAS CONSIDERED A CHALLENGING THREE-YEAR
15 TIMELINE.

16 THE INSTITUTION WAS RECOGNIZED TO HAVE ALL
17 THE RESOURCES TO SUPPORT THE INDIVIDUAL, THE
18 NECESSARY MATERIALS WERE IN PLACE, AND THE PI AND
19 PREVIOUS MENTOR HOLD A KEY PATENT FOR THE APPROACH.

20 SO ON BALANCE, I THINK IT WAS THIS BALANCE
21 BETWEEN THE RATIONALE AND SAYING APPROPRIATE TARGET,
22 INTERESTING CANDIDATE, AND A VERY CHALLENGING TIME
23 FRAME, VERY CHALLENGING TEAM AND INVESTIGATOR.
24 THAT'S GOING TO END THE PRESENTATION ON THIS
25 APPLICATION. DID YOU HAVE ANY MORE QUESTIONS?

BARRISTERS' REPORTING SERVICE

1 DR. OLSON: WHAT I'M GOING TO DO -- IF YOU
2 WANT TO HEAR MORE ABOUT THE OTHER APPLICATION, DR.
3 ARI ABO WILL TALK ABOUT IT. I WANT TO SPECIFICALLY
4 ADDRESS DR. VUORI'S QUESTION, WHICH WAS COMPARE AND
5 CONTRAST.

6 SO AS YOU JUST HEARD FROM DR. STEFFEN,
7 THAT APPLICATION IS TARGETING THE GENERATION OF
8 FULLY DIFFERENTIATED PHOTORECEPTOR CELLS AS OPPOSED
9 TO THE OTHER APPLICATIONS, WHICH IN ONE FORM OR
10 ANOTHER ARE TARGETING THE GENERATION OF PROGENITOR
11 CELLS. SO IT IS A CONCERN IN THE FIELD. TYPICALLY
12 MATURE CELLS DON'T ENGRAFT. I THINK YOU JUST HEARD
13 WHAT DR. STEFFEN SAID ABOUT THE CHALLENGES IN
14 GETTING FULLY PHOTORECEPTOR CELLS. IT'S ALSO IN THE
15 RETINA THE DIRECTIONAL PART OF IT. SO PUTTING IT IN
16 A THREE-DIMENSIONAL SHEET ALONG WITH ITS SUPPORTING
17 CELLS, THE RPE, WAS CONSIDERED TO BE A WAY OF
18 ENSURING THAT YOU HAVE A -- MORE ENSURING THAT YOU
19 HAVE A FUNCTIONAL STRUCTURE. SO IT'S PROGENITOR
20 CELLS VERSUS FULLY DIFFERENTIATED CELLS. IT'S A
21 STRUCTURAL ORGANIZATION TO THOSE CELLS THAT
22 REPLICATES THAT THAT IS MORE LIKE THE EYE, AND IT'S
23 THE SUPPORT CELLS.

24 SO THOSE ARE THE, I THINK, REASONS THAT,
25 AT LEAST PART OF THE RATIONALE WHY STAFF MADE THE

BARRISTERS' REPORTING SERVICE

1 RECOMMENDATION THEY DID WITH RESPECT TO THAT.

2 DOES THAT ANSWER YOUR QUESTION? AND THEN
3 AS I SAY, DR. ABO IS HAPPY TO GO INTO MORE DETAIL
4 ABOUT THE OTHER APPLICATION IF YOU WOULD LIKE.

5 DR. VUORI: THANK YOU.

6 DR. OLSON: THANK YOU.

7 MR. SHEEHY: ANY OTHER QUESTIONS OR
8 COMMENTS?

9 DR. DULIEGE: GENERAL QUESTION, THEN, TO
10 FINISH ON THE APPLICATION 6666. I UNDERSTAND WHAT
11 WAS SAID AND I COMPLETELY AGREE WITH IT. WILL THERE
12 BE OTHER APPLICATIONS THAT CAN IMPROVE HIS PROPOSAL
13 AND COME BACK WITH IT OVER TIME?

14 DR. TROUNSON: THE ANSWER IS YES.

15 DR. STEFFEN: IN ADDITION TO THE PUBLIC
16 SUMMARY THAT WE PREPARE, WE ALSO PROVIDE THE
17 CONFIDENTIAL FEEDBACK. AND SO THE SPECIFICS OF THE
18 RECOMMENDATIONS AROUND HOW THE INVESTIGATOR COULD
19 IMPROVE THE EXPERIMENTAL DESIGN TO ADDRESS THE
20 CONCERNS HAS BEEN PROVIDED CONFIDENTIALLY TO THE
21 APPLICANT. AND I KNOW THE CIRM STAFF WOULD BE VERY
22 HAPPY TO FOLLOW UP WITH SUCH AN APPLICANT OR ANY
23 APPLICANT THAT WOULD LIKE TO DISCUSS THAT IN MORE
24 DETAIL.

25 MR. SHEEHY: ANY OTHER BOARD OR STAFF

BARRISTERS' REPORTING SERVICE

1 COMMENTS? THEN I THINK WE'RE AT THE POINT -- I
2 THINK JAMES MAY HAVE TO HELP ME ON THE FRAMING --
3 FOR WHAT I WOULD CALL A GLOBAL RESOLUTION.

4 DR. BRYANT: SO IF THIS IS -- IF I MAKE A
5 COMMENT ABOUT THE ENTIRE SCORED GROUP, DOES THAT PUT
6 ME IN CONFLICT SINCE I'M IN CONFLICT FOR ONE OF
7 THEM?

8 MR. HARRISON: YES. THAT POTENTIALLY
9 POSES A PROBLEM.

10 DR. BRYANT: OKAY. THEN I WON'T SAY WHAT
11 I WAS GOING TO SAY. SORRY.

12 MR. SHEEHY: ANY OTHER? SO I THINK WE'RE
13 READY FOR A GLOBAL RESOLUTION, WHICH I BELIEVE THE
14 FORM OF WHICH, AND I THINK IF YOU COULD RESTATE IT
15 FOR ME BEFORE WE MAKE IT, BUT I THINK IT WOULD BE TO
16 APPROVE ALL THE APPLICATIONS IN TIER I, INCLUDING
17 THOSE THAT WE'VE MOVED IN THERE TODAY WITH THE
18 ACCOMPANYING CONDITIONS, AND TO NOT APPROVE ALL THE
19 OTHER REMAINING APPLICATIONS.

20 MR. HARRISON: JUST TO CLARIFY, THIS IS
21 FOR DCF.

22 MR. SHEEHY: ONLY FOR DCF, THIS FIRST
23 CATEGORY. DO I HAVE A MAKER OF THE MOTION WITHOUT
24 CONFLICTS?

25 DR. JUELSGAARD: SO MOVED.

BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: SO STEVE JUELSGAARD IS THE
2 MAKER AND SENATOR TORRES IS THE SECOND.

3 NOW, ARE THERE ANY PUBLIC COMMENTS EITHER
4 HERE OR IN ANY OF THE SITES ABOUT ANY APPLICATIONS
5 IN THIS DCF CATEGORY? I BELIEVE WE HAVE ONE HERE.
6 SO WE'LL HEAR THAT. AND I THINK, MICHAEL, YOU HAVE
7 PEOPLE AT YOUR SITE. IF THERE'S ANY COMMENTS THERE,
8 LET ME KNOW AFTER WE HEAR THE ONE HERE IN SAN
9 FRANCISCO.

10 MS. SAMUELSON: I HAVE A QUESTION.

11 MS. THOMPSON: MY NAME IS LESLIE THOMPSON.
12 I'M FROM THE UNIVERSITY OF CALIFORNIA IRVINE. I'M
13 THE PI ON THE APPLICATION, THE NEXT APPLICATION
14 DOWN, THAT HAS A SCORE OF 64 THAT IS IN TIER III,
15 BUT IS VERY, VERY CLOSE TO THE PAYLINE. AND THANK
16 YOU FOR THE OPPORTUNITY TO MAKE A FEW COMMENTS ABOUT
17 THIS APPLICATION.

18 I WOULDN'T BE HERE IF I DIDN'T STRONGLY
19 BELIEVE THAT THIS IS A VERY STRONG RESEARCH APPROACH
20 AND A NEW STRATEGY TO TREAT HUNTINGTON'S DISEASE.
21 AS EVERYONE HERE HAS HEARD ABOUT HUNTINGTON'S
22 DISEASE, IT'S A DEVASTATING DISORDER, STRIKES
23 INDIVIDUALS IN THE PRIME OF LIFE WHEN THEY HAVE
24 YOUNG FAMILIES, THEY HAVE MAXIMUM EARNING POTENTIAL,
25 STRIKES YOUNG ADULTS, CHILDREN. WE HAVE SEVERAL OF

BARRISTERS' REPORTING SERVICE

1 THE FAMILY MEMBERS HERE. AND THIS REPRESENTS A NEW
2 APPROACH TO DO THIS.

3 CIRM HAS INVESTIGATED -- HAS INVESTED IN
4 OUR RESEARCH. WE HAVE AN EARLY TRANSLATION II GRANT
5 WHERE WE NOW KNOW THAT WE CAN USE HUMAN EMBRYONIC
6 STEM CELL-DERIVED POPULATIONS AS NEURAL STEM CELLS,
7 TRANSPLANT THOSE INTO HD MICE, AND WE SEE A
8 BENEFICIAL CLINICAL OUTCOME. WE HAVE A CLINICAL
9 PATH FORWARD WITH THESE CELLS. THEY'RE GMP
10 COMPATIBLE, AND WE SEE VERY STRONG EVIDENCE OF
11 NEUROPROTECTION.

12 THIS APPLICATION PROVIDES A NEW STRATEGY
13 AND ADDITIONAL BENEFIT IN THAT WE'RE GOING TO TAKE
14 THOSE LINES, ENGINEER THEM TO PUMP OUT A THERAPEUTIC
15 PROTEIN. SO THIS PROTEIN DIRECTLY TARGETS THE
16 MUTANT HUNTINGTON PROTEIN, WHICH IS THE CAUSATIVE
17 AGENT IN HD. IT DIRECTLY TARGETS IT. WE KNOW THAT
18 WE CAN PUT THIS INTO MICE AND CHANGE PATHOLOGY IN
19 THE BRAIN. AND SO IT GIVES ADDITIONAL BENEFIT. NOT
20 ONLY CAN WE TREAT THE DISEASE WITH THE NEURAL STEM
21 CELL TRANSPLANTATION, BUT WE CAN THEN PUMP THIS
22 THERAPEUTIC PEPTIDE INTO THE BRAIN. IT HAS THE
23 SURPRISING PROPERTY THAT IT'S TAKEN UP BY
24 NEIGHBORING CELLS. IT GETS INTO CELLS AND CHANGES
25 THE COURSE OF THE DISEASE IN THOSE CELLS. IT ALSO

BARRISTERS' REPORTING SERVICE

1 PROTECTS THE TRANSPLANTED CELLS THEMSELVES.

2 ONE OF THE ISSUES IS THAT YOU MAY TAKE ON
3 DISEASE PHENOTYPES FROM THE DISEASED TISSUE INTO THE
4 TRANSPLANTED CELLS, AND THIS PROTECTS THOSE
5 TRANSPLANTED CELLS.

6 NOW, WE'RE VERY CLOSE TO THE PAYLINE, AS I
7 SAID. I DIDN'T SUBMIT DATA FOR RECONSIDERATION
8 ALTHOUGH WE DO HAVE NEW DATA BECAUSE IT'S NOT YET
9 PUBLISHED, IT'S BRAND-NEW DATA, BUT THIS APPROACH IS
10 FEASIBLE. IT LEVERAGES CIRM'S INVESTMENT ALREADY IN
11 THIS WORK BY ADDING ADDITIONAL BENEFIT TO THE
12 PATIENTS. IT'S AN UNMET CLINICAL NEED, AND I THINK
13 THIS WOULD BE A VERY IMPORTANT AND POTENTIALLY
14 TRANSFORMATIVE AND INFORMATIVE APPROACH, NOT ONLY
15 FOR HUNTINGTON'S DISEASE, BUT ALSO FOR ALZHEIMER'S
16 DISEASE, PARKINSON'S DISEASE, AND OTHER
17 NEURODEGENERATIVE DISEASES. AND I'M HERE REQUESTING
18 THAT IF THERE'S FUNDING AVAILABLE, THAT THIS GET
19 MOVED UP ONE. THANK YOU SO MUCH FOR YOUR TIME, AND
20 I APPRECIATE IT.

21 MR. SHEEHY: THANK YOU. SO WE HAVE A
22 MOTION ON THE FLOOR. IS THERE ANYONE ON THE BOARD
23 WHO WOULD LIKE TO SPEAK TO THE MOTION? THEN NO
24 OTHER PUBLIC COMMENT?

25 MS. SAMUELSON: I JUST HAD A QUESTION,

BARRISTERS' REPORTING SERVICE

1 JEFF.

2 MR. SHEEHY: CAN WE COME BACK AFTER THE
3 VOTE, JOAN, PLEASE? THANK YOU. MARIA, WOULD YOU
4 LIKE TO --

5 DR. DULIEGE: MAYBE IN ADDITION TO THE ONE
6 JUST MADE, CAN WE GET --

7 MR. SHEEHY: WE HAVE A MOTION. SO I WOULD
8 RATHER VOTE THE MOTION. IF YOU WANT TO TALK ABOUT
9 IT AFTER THE MOTION. BUT PART OF WHY WE'VE GONE
10 THROUGH ALL OF THESE CHANGES IS TO TRY TO STREAMLINE
11 THIS PROCESS SO THAT WE'RE NOT ALWAYS SUBSTITUTING
12 OUR JUDGMENT FOR THAT OF THE REVIEW GROUP AND ALSO
13 FOR OUR SCIENTIFIC STAFF WHO DID HAVE AN OPPORTUNITY
14 TO REVIEW THIS GRANT.

15 AND AT THIS POINT WE HAVE TO MAKE -- OUR
16 FUNDS ARE NOT UNLIMITED AND WE HAVE TO HAVE SOME
17 BASIS, AND IT DOESN'T NECESSARILY -- IF WE MAKE IT
18 ADVANTAGEOUS FOR EVERYONE WHO DIDN'T GET A GRANT TO
19 COME HERE TO GET A HEARING, AND IF PEOPLE WILL READ
20 THROUGH THEIR REVIEWS, IF SOMETHING POPS OUT, AND
21 THIS IS REALLY SUPPOSED TO BE FOR PROGRAMMATIC
22 CONSIDERATION, AND I DO KNOW THAT WE HAVE A
23 HUNTINGTON'S GRANT THAT IS VERY HIGHLY SCORED IN THE
24 DEVELOPMENT CANDIDATE FIELD. AND SO THAT ONE IS
25 LIKELY TO GET APPROVED AND IS MUCH CLOSER TO

BARRISTERS' REPORTING SERVICE

1 TRANSLATION INTO PATIENTS, WHICH IS SUPPOSED TO BE
2 THE PROGRAMMATIC CONSIDERATIONS THAT WE TYPICALLY
3 TAKE AT THIS POINT. SO IF WE CAN GO TO A ROLL CALL,
4 IT WOULD BE --

5 DR. DULIEGE: THANK YOU, JEFF, FOR YOUR
6 VERY DIPLOMATIC RESPONSE.

7 MR. HARRISON: JEFF, JUST ONE REMINDER FOR
8 THOSE MEMBERS WHO ARE ELIGIBLE TO VOTE. TO THE
9 EXTENT THAT YOU HAVE A CONFLICT IN ANY APPLICATION
10 AMONG THE DCF APPLICATIONS, PLEASE VOTE YES OR NO
11 EXCEPT FOR THOSE APPLICATIONS IN WHICH YOU HAVE A
12 CONFLICT.

13 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

14 DR. DULIEGE: YES.

15 MS. BONNEVILLE: MARCY FEIT. MICHAEL
16 GOLDBERG. STEVE JUELSGAARD.

17 MR. JUELSGAARD: YES.

18 MS. BONNEVILLE: SHERRY LANSING.
19 FRANCISCO PRIETO.

20 DR. PRIETO: YES, EXCEPT FOR THOSE WITH
21 WHICH I HAVE A CONFLICT.

22 MS. BONNEVILLE: ROBERT QUINT.

23 DR. QUINT: YES.

24 MS. BONNEVILLE: AL ROWLETT.

25 MR. ROWLETT: YES, EXCEPT FOR THOSE WITH

BARRISTERS' REPORTING SERVICE

1 WHICH I HAVE A CONFLICT.

2 MS. BONNEVILLE: JOAN SAMUELSON.

3 MS. SAMUELSON: ABSTAIN.

4 MS. BONNEVILLE: JEFF SHEEHY.

5 MR. SHEEHY: YES, EXCEPT FOR THOSE WITH
6 WHICH I HAVE A CONFLICT.

7 MS. BONNEVILLE: OS STEWARD. JONATHAN
8 THOMAS.

9 CHAIRMAN THOMAS: YES.

10 MS. BONNEVILLE: ART TORRES.

11 MR. TORRES: AYE.

12 MS. BONNEVILLE: DIANE WINOKUR.

13 MS. WINOKUR: AYE.

14 MS. BONNEVILLE: MICHAEL GOLDBERG.

15 MR. HARRISON: SINCE EACH OF THE
16 APPLICATIONS HAS A DIFFERENT QUORUM REQUIREMENT, I
17 RECOMMEND THAT YOU PROCEED WITH OTHER BUSINESS WHILE
18 WE VERIFY THAT THE MOTION PASSED AS TO ALL THE
19 APPLICATIONS.

20 MR. SHEEHY: THANK YOU. JOAN, YOU HAD A
21 QUESTION.

22 MS. SAMUELSON: I HAD THOUGHT THAT ONE OF
23 THE PROCEDURAL MODIFICATIONS WOULD ENABLE, FOR
24 EXAMPLE, DR. BRYANT TO PROVIDE THE COMMENT THAT SHE
25 HAD. AND I'M WONDERING WHY -- I GUESS I

BARRISTERS' REPORTING SERVICE

1 MISUNDERSTAND IT. I'D JUST LIKE TO HAVE THAT
2 CLARIFIED.

3 MR. SHEEHY: MAYBE WE COULD TAKE THAT UP
4 AFTER WE GET THROUGH WITH CONSIDERATION OF THESE
5 APPLICATIONS. AND I MEAN HISTORICALLY WE HAVE NOT
6 HAD INDIVIDUALS FROM INSTITUTIONS WHOSE GRANTS WERE
7 UNDER CONSIDERATION BE ABLE TO MAKE COMMENTS ON
8 THEM. OUR PROCEDURE DIDN'T CHANGE IN THAT WAY.

9 MS. SAMUELSON: I GUESS I THOUGHT IT WAS
10 IN THE CONTEXT OF A SITUATION WHERE THEY CAN
11 PARTICIPATE IN THE DISCUSSION, BUT THEN NOT BE
12 INCLUDED IN THE VOTE.

13 MR. SHEEHY: WE'LL TALK ABOUT AFTER. SO
14 COULD WE MOVE TO THE DEVELOPMENT CANDIDATES? AND I
15 THINK BECAUSE THERE WAS AN INTEREST, COULD SOMEONE
16 FIND OUT IF MICHAEL GOLDBERG -- GET HIM BACK ON
17 BECAUSE THOSE GRANTS ARE UNDER CONSIDERATION.

18 SO, AGAIN, SAME PROCESS. THE FIRST
19 QUESTION IS WHETHER ANYONE WOULD WANT TO MOVE
20 ANYTHING -- MAKE A MOTION TO MOVE ANYTHING OUT OF
21 TIER I. AND IF THERE IS NO MOTION TO MOVE ANYTHING
22 OUT OF TIER I, ARE THERE ANY MOTIONS TO MOVE
23 SOMETHING FROM, WHICH I THINK IS JUST ALL TIER III
24 HERE, TO MOVE ANYTHING FROM TIER III INTO TIER I.

25 AND SO I DO THINK MICHAEL GOLDBERG DID

BARRISTERS' REPORTING SERVICE

1 HAVE SOMETHING ONLINE. ARE THERE ANY OTHER
2 APPLICATIONS INDIVIDUALS HAVE AN INTEREST IN BESIDES
3 THE ONE THAT MICHAEL GOLDBERG FLAGGED? I WONDER,
4 SHOULD WE TAKE A BREAK AND GIVE MICHAEL A
5 FIVE-MINUTE BREAK. I DON'T WANT TO DENY HIM THE
6 OPPORTUNITY FOR A HEARING.

7 MS. SAMUELSON: SOUNDS LIKE A GOOD IDEA.

8 MR. GOLDBERG: CAN YOU HEAR ME IN THE
9 ROOM?

10 MR. SHEEHY: I THINK THE FIRST THING THAT
11 MIGHT BE HELPFUL IS TO GET A VOTE ON THE PRIOR
12 MOTION, WHICH WAS THE -- MAYBE JAMES CAN LEAD YOU
13 THROUGH THAT.

14 MR. HARRISON: MICHAEL, THE MOTION WAS TO
15 APPROVE DCF APPLICATIONS IN TIER I AND NOT TO FUND
16 THE REMAINING DCF APPLICATIONS, AND WE ASK YOU TO
17 VOTE YES OR NO EXCEPT FOR THOSE WITH WHICH YOU HAVE
18 A CONFLICT.

19 MR. GOLDBERG: YES, EXCEPT FOR THOSE WITH
20 WHICH I HAVE A CONFLICT.

21 MR. SHEEHY: THANK YOU. NOW, MICHAEL,
22 WE'RE IN THE DC CATEGORY, AND I BELIEVE THAT THERE
23 WAS A GRANT THAT YOU HAD AN INTEREST IN THAT YOU
24 WOULD LIKE TO MAKE A MOTION TO MOVE INTO THE
25 FUNDABLE CATEGORY?

BARRISTERS' REPORTING SERVICE

1 MR. GOLDBERG: THAT'S CORRECT.

2 MR. SHEEHY: PLEASE PROCEED.

3 MR. GOLDBERG: THAT WOULD BE 06888, WHICH
4 WAS A PROPOSAL TO ENGINEER RESTORATION OF FUNCTION
5 AFTER SPINAL CORD INJURY.

6 MR. SHEEHY: SO DO WE HAVE A SECOND ON
7 THAT MOTION?

8 MR. TORRES: I'LL SECOND.

9 MR. SHEEHY: SENATOR TORRES HAS SECONDED.
10 SO PERHAPS THE BEST THING MIGHT BE TO GET SOME
11 INFORMATION FROM STAFF ABOUT THE APPLICATION.

12 DR. CANET-AVILES: STAFF IS DR.
13 CANET-AVILES, AKA ROSA TOO.

14 SO THIS IS AN APPLICATION FOR A
15 DEVELOPMENT CANDIDATE, AND IT'S FOCUSED ON A
16 COMBINATION PRODUCT CONSISTING OF PROGENITOR CELLS
17 ON A SCAFFOLD THAT COULD BE IMPLANTED INTO THE
18 SPINAL CORD TO LIMIT THE FUNCTIONAL DEFICITS AFTER
19 SPINAL CORD INJURY.

20 THE PRODUCT, THE COMBINATION PRODUCT, IS
21 INTENDED TO MODIFY THE INJURED ENVIRONMENT OF THE
22 SPINAL CORD TO BOTH PROMOTE, REPAIR, AND PROVIDE
23 PROCESSES THAT NEEDED REPAIR. THE APPLICATION
24 PROPOSES TO COMBINE TWO NEURAL CELL POPULATIONS OF
25 DERIVED HUMAN INDUCED PLURIPOTENT STEM CELLS, WHICH

BARRISTERS' REPORTING SERVICE

1 WOULD BE PATIENT DERIVED, WITH A BIODEGRADABLE
2 SCAFFOLD. AND EACH OF THE COMPONENTS IN THE
3 COMBINATION PRODUCT MAY BE ENGINEERED TO SECRETE
4 NEUROTROPHIC FACTORS.

5 THE MILESTONES INCLUDE AN ITERATIVE
6 EXAMINATION OF THE FUNCTIONAL EFFICACY OF THESE
7 HIPS-DERIVED NEURAL STEM CELLS IN COMBINATION WITH
8 THE SCAFFOLD AT MULTIPLE TIME POINTS AFTER THE
9 INJURY IN A RODENT MODEL OF SPINAL CORD INJURY.
10 GENETICALLY ENGINEERING THE NEURAL STEM CELLS TO
11 SECRETE THESE NEUROTROPHIC FACTORS AND REPEATING THE
12 STUDIES IN THE RODENT MODEL, IMPREGNATING THE
13 SCAFFOLD WITH RELEASABLE NEUROTROPHIC FACTORS AS
14 WELL AND REPEATING THE STUDIES IN THE RODENT MODEL.
15 ADDING A SECOND HUMAN INDUCED PLURIPOTENT STEM
16 CELL-DERIVED NEURAL CELL TYPE TO THE COMBINATION
17 PRODUCT AND REPEATING THE STUDIES IN THE RODENT
18 MODEL AND MOVING THE BEST CANDIDATE COMBINATION
19 PRODUCTS FORWARD INTO THE CLINICAL DEVELOPMENT MODEL
20 OF SPINAL CORD INJURY.

21 AS YOU CAN SEE, IT'S AN ITERATIVE PROCESS
22 OF TRYING DIFFERENT CANDIDATES THERE.

23 SO THE REVIEWERS FOUND THAT THERE WAS, IN
24 THE TERMS OF OBJECTIVES AND MILESTONES, A LACK OF
25 GO/NO-GO DECISION POINTS. AND MOSTLY THE TARGET

BARRISTERS' REPORTING SERVICE

1 PRODUCT DESCRIBED MULTIPLE POSSIBLE DEVELOPMENT
2 CANDIDATES.

3 ANOTHER ASPECT THAT THE REVIEWERS FOUND
4 WAS NOT VERY POSITIVE, THAT THE MULTIFUNCTIONAL
5 APPROACH THAT THE TEAM PROPOSES IS RATIONAL, BUT IT
6 WAS HIGHLY UNREALISTIC AND VERY AMBITIOUS.

7 THEY LIKED THE RESEARCH PLAN. AND WHILE
8 THE REVIEWERS ACKNOWLEDGE THAT THE STUDIES PROPOSED
9 COULD ADD SOME SIGNIFICANT KNOWLEDGE TO THE SPINAL
10 CORD INJURY FIELD, THE SCIENTIFIC COMPLEXITY OF THE
11 PLANNED COMBINATION PRODUCT LIMITED THEIR
12 ENTHUSIASM.

13 THE SCOPE OF THE PROPOSAL WAS VIEWED AS
14 TOO BROAD TO BE ACCOMPLISHED WITHIN THE THREE-YEAR
15 PERIOD. AND I THINK THOSE WERE THE MAIN CONCERNS IN
16 THE REVIEW. THEY FOUND THE TEAM TO BE EXCELLENT
17 WITH EXTENSIVE EXPERIENCE IN NEURAL STEM CELLS AND
18 TRANSPLANTATION INTO SPINAL CORD INJURY MODELS AND
19 BIOMATERIAL DEVELOPMENT. SO THIS IS IT. THANKS.

20 MR. SHEEHY: ARE THERE QUESTIONS FOR STAFF
21 ABOUT THIS GRANT? MICHAEL, DO YOU HAVE --

22 MR. GOLDBERG: IT'S MY UNDERSTANDING NOW
23 THAT THE UNDERLYING SCAFFOLD THAT WAS PROPOSED IN
24 THE APPLICATION WAS, SUBSEQUENT TO THE APPLICATION
25 AND THE REVIEW, APPROVED FOR USE BY THE FDA,

BARRISTERS' REPORTING SERVICE

1 SUBSTANTIALLY DERISKING THAT DIMENSION OF THE
2 RESEARCH PROGRAM. I GUESS MY QUESTION WOULD BE IS
3 THAT A RELEVANT FACTOR FOR CONSIDERATION?

4 DR. OLSON: OKAY. I'D LIKE TO ADDRESS
5 THAT. THIS IS PAT OLSON. THE PRODUCT -- I MEAN I
6 HOPE YOU HEARD WHAT THE PROPOSED PRODUCT -- WELL,
7 THEY DON'T KNOW WHAT THE CANDIDATE IS YET. AND
8 THAT'S PROBABLY OKAY, BUT YOU HAVE TO REALIZE THE
9 COMPLEXITY OF WHAT'S BEING TALKED ABOUT.

10 SO WITH REGARD TO THE SCAFFOLD, THE
11 SCAFFOLD IS BEING SUBMITTED FOR APPROVAL IN ANOTHER
12 CONTEXT. SO, YES, WHEREAS IT IS A GOOD THING TO
13 HAVE A SCAFFOLD THAT HAS BEEN APPROVED IN ONE
14 CONTEXT, ITS USE IN THIS CONTEXT IS A SEPARATE
15 COMBINATION PRODUCT. SO IT'S AT LEAST -- IT'S GOOD
16 TO KNOW THAT IT'S APPROVABLE, BUT WHAT WE'RE TALKING
17 ABOUT HERE IS WE'RE TALKING ABOUT EACH OF TWO
18 POPULATIONS OF NEURAL STEM CELL-DERIVED PROGENITORS
19 WHICH MAY OR MAY NOT BE MODIFIED WITH A GROWTH
20 FACTOR, SO GENETICALLY MODIFIED, TWO CELL
21 POPULATIONS MAY OR MAY NOT BE GENETICALLY MODIFIED,
22 PLUS A SCAFFOLD WHICH MAY OR MAY NOT HAVE
23 THERAPEUTIC PROTEINS ADDED TO IT.

24 NOW, THAT IN OF ITSELF IS FINE. TESTING
25 ALL THOSE COMBINATIONS TO GET TO -- I THINK THE MAIN

BARRISTERS' REPORTING SERVICE

1 CRITICISM THAT DR. CANET-AVILES HIGHLIGHTED FOR YOU,
2 AT LEAST I WOULD, IS WHAT THE REVIEWERS SAID ABOUT
3 FEASIBILITY OF MAKING THE CHOICE AMONG ALL THOSE
4 POPULATIONS WITHIN A REASONABLE TIME FRAME AND THEN
5 DOING ALL THE THINGS THAT YOU NEED TO DO FOR A
6 DEVELOPMENT CANDIDATE WITH THE SELECTED PRODUCT.

7 SO I THINK THOSE -- IT HELPS TO KNOW THAT
8 THE FDA WILL APPROVE A SCAFFOLD, BUT I THINK THE
9 ISSUE IS THE VARIOUS COMBINATORIALS -- THE VARIOUS
10 COMBINATIONS THAT ARE PROPOSED AND WHAT YOU MIGHT
11 END UP WITH. AND THAT WAS THE REVIEWER'S ISSUE,
12 FEASIBILITY.

13 MR. SHEEHY: J.T.

14 CHAIRMAN THOMAS: DR. OLSON, IT'S MY
15 UNDERSTANDING THAT THIS CONCLUSION BY THE GRANTS
16 WORKING GROUP WAS SUBMITTED FORMALLY IN OUR NEW
17 APPEAL PROCESS. COULD YOU JUST ADDRESS THAT,
18 PLEASE?

19 DR. OLSON: YES, IT WAS. AND STAFF DID
20 REVIEW. IF YOU WILL GIVE ME A MOMENT PLEASE, I NEED
21 TO PULL UP THE APPROPRIATE -- I JUST WANT OUR
22 CONCLUSION. I BELIEVE HE CLAIMED -- GIL, YOU MIGHT
23 HAVE THAT. OKAY. THE APPLICANT CONSULTED WITH THE
24 REVIEW OFFICE AND DID -- SO DID HAVE A DISCUSSION
25 WITH DR. SAMBRANO AND DID CHOOSE TO SUBMIT AN APPEAL

BARRISTERS' REPORTING SERVICE

1 REQUEST BASED ON A MATERIAL DISPUTE OF FACT AND A
2 REQUEST FOR RECONSIDERATION BASED ON MATERIAL NEW
3 INFORMATION. THE REQUEST FOR RECONSIDERATION IS
4 DENIED AS THE INFORMATION PROVIDED DOES NOT ADDRESS
5 THE PRIMARY CONCERNS OF REVIEWERS.

6 SO I BELIEVE, AS HAS ALREADY BEEN NOTED,
7 THAT THE ONE POINT HIGHLIGHTED IN THE REQUEST FOR
8 RECONSIDERATION WAS THE FACT THAT THE SCAFFOLD WAS,
9 AT LEAST BEING, I THINK, THE SUBJECT OF OR MOVING
10 THROUGH THE CLINICAL STUDIES AND FDA APPROVAL
11 PROCESS, BUT AS WE STATED, IT DOES NOT ADDRESS THE
12 PRIMARY CONCERNS OF REVIEWERS. APPEAL WAS DENIED AS
13 NO OBJECTIVELY VERIFIABLE FACT AND THE REVIEW
14 SUMMARY WAS IDENTIFIED AND ALL CLAIMS OF ERROR
15 REPRESENTED A DIFFERENCE OF SCIENTIFIC OPINION OR
16 JUDGMENT.

17 SO THE POINT ABOUT THE SCAFFOLD WAS NOT
18 THE PRIMARY POINT.

19 MR. SHEEHY: MICHAEL, DO YOU WANT TO SEE
20 THE SCORES ON THIS? IS THAT HELPFUL FOR YOU?

21 DR. OLSON: I'D BE HAPPY TO GIVE YOU THE
22 MEAN, MEDIAN, AND RANGE. WOULD THAT BE HELPFUL?

23 MR. GOLDBERG: YES. THANK YOU. ALSO
24 THANK YOU FOR YOUR RESPONSE, DR. OLSON.

25 MR. SHEEHY: I THINK THAT WOULD BE

BARRISTERS' REPORTING SERVICE

1 HELPFUL, THE SCORES.

2 DR. OLSON: SO THE MEAN SCORE WAS A 53,
3 THE MEDIAN SCORE WAS A 55, THE RANGE OF SCORES WAS
4 40 TO 60. SO EVEN THE HIGHEST SCORE DID NOT FALL IN
5 A TIER II RANGE. AND THE STANDARD DEVIATION, GIVEN
6 THAT RANGE, WAS SEVEN.

7 MR. GOLDBERG: THANK YOU.

8 MS. SAMUELSON: QUESTION FOR DR. OLSON.
9 IF THIS RFA IS MOVING TOO FAST FOR A GRANT
10 APPLICATION OF THIS COMPLEXITY, AND THAT'S BASICALLY
11 WHAT I UNDERSTOOD YOU SAYING, AND IF I'M WRONG, LET
12 ME KNOW, THEN WHAT OTHER RFA -- THIS ISN'T A
13 RHETORICAL QUESTION. I'M JUST CURIOUS.

14 DR. OLSON: I WASN'T SUGGESTING THAT IT
15 WASN'T SUITABLE FOR THIS RFA. I WAS SUGGESTING THAT
16 GIVEN THE MANY COMBINATIONS THAT WERE PROPOSED FOR
17 TESTING AND THE ACTIVITIES REQUIRED ONCE YOU HAVE
18 SELECTED A CANDIDATE TO ACTUALLY SORT OF MEET THE
19 CRITERIA FOR HAVING CHOSEN A DEVELOPMENT CANDIDATE,
20 THE APPLICANT MIGHT HAVE BEEN BETTER OFF PURSUING A
21 DCF AWARD. AND THEN ONCE HAVING GOTTEN FURTHER
22 ALONG MOVED FORWARD.

23 SO I DO BELIEVE THAT THE EARLY
24 TRANSLATIONAL RFA INITIATIVE WAS THE APPROPRIATE
25 INITIATIVE FOR THIS. IT'S NOT -- THE REVIEWERS'

BARRISTERS' REPORTING SERVICE

1 OPINION WAS THAT THE ACTIVITIES CONTEMPLATED UNDER
2 THIS AWARD WERE, AS THEY NOTED, A HUGE AMOUNT OF
3 WORK TO BE DONE AND QUESTIONED WHETHER THE APPLICANT
4 COULD GET THERE.

5 MR. SHEEHY: THANK YOU, DR. OLSON. DO WE
6 HAVE FURTHER BOARD COMMENTS? ANY ADDITIONAL
7 COMMENTS FROM STAFF, BOARD? I'M ASSUMING YOU HAVE
8 PUBLIC COMMENT.

9 MR. GOLDBERG: YES.

10 MR. REED: THIS IS DON REED. FIRST OFF, I
11 THINK THERE'S A GAP IN THE CIRM PORTFOLIO. IF YOU
12 VISIT THE WEB SITE ON SPINAL CORD INJURY AT THE CIRM
13 WEB SITE, YOU WILL SEE THAT THERE'S TEN PROJECTS OF
14 WHICH ONLY FIVE ARE ACTIVE. IT SAYS 59 MILLION IS
15 ALLOCATED, BUT OF THAT 48 MILLION HAS BEEN CALLED
16 BACK. SO THEY'VE GOT 11 MILLION. SO THE ROMAN REED
17 ACT IS TINY COMPARED TO CIRM, AND YET WE'VE FUNDED
18 \$15 MILLION WORTH OF SPINAL CORD INJURY RESEARCH AND
19 CIRM RIGHT NOW IS STUCK WITH 11 MILLION. I THINK
20 THERE'S A DEFINITE GAP THERE.

21 SECONDLY, THE IDEA OF HIGHLY AND
22 UNREALISTICALLY AMBITIOUS, THAT'S A DESCRIPTION OF
23 EVERYTHING THAT'S ACTUALLY GOING TO WORK BECAUSE
24 EVERY PROJECT THAT'S GOING TO BE PROPOSED FOR SPINAL
25 CORD INJURY IS GOING TO BE MULTIFACETED. IT JUST

BARRISTERS' REPORTING SERVICE

1 HAS TO BE THAT WAY.

2 ALSO, WHEN YOU ARE DIAGNOSED PARALYZED,
3 THE ONLY REALISM IN YOUR LIFE IS THEY TELL YOU THAT
4 THERE IS NO HOPE. BUT CIRM IS ABOUT MAKING HOPE
5 REAL THROUGH ACCOMPLISHMENTS. WE DON'T HAVE TO ASK
6 OURSELVES WHAT CAN BE DONE WITH A DOLLAR FIFTY, BUT
7 WHAT ARE PROMISING POSSIBILITIES BROUGHT BY SERIOUS
8 INDIVIDUALS.

9 THE PEOPLE INVOLVED ARE SERIOUS. THE
10 SCAFFOLDING IS DONE BY ONE OF THE PIONEERS IN THIS
11 FIELD. THE PI IS NOT ONLY HIGHLY RESPECTED IN
12 SPINAL CORD INJURY, BUT ALSO STEM CELL RESEARCH. HE
13 IS THE CHAIR OF THE FDA CELL, TISSUE, AND GENE
14 THERAPY COMMITTEE. NO ONE KNOWS THE COMPLICATIONS
15 AND THE REASON FOR THEM AND HOW TO SOLVE THEM BETTER
16 THAN THIS MAN.

17 I REALLY THINK WE NEED TO EITHER
18 RECONSIDER THIS OR FIND A DIFFERENT SPOT FOR IT
19 BECAUSE I THINK THE SPINAL CORD INJURY PROJECT
20 ITSELF IS WORTHY OF BEING FUNDED. IF THERE TO BE A
21 DIFFERENT CATEGORY FOR IT, SO BE IT. BUT ALSO WE
22 JUST NEED TO HAVE SOMETHING. WE'RE RUNNING OUT OF
23 MONEY, AND SPINAL CORD INJURY IS A HUGELY
24 INFLUENTIAL -- IT'S THE SYMBOL OF THAT WHICH CANNOT
25 BE CURED. AND IF WE CAN CURE IT OR EVEN EASE IT,

BARRISTERS' REPORTING SERVICE

1 ALLEVIATE THE SUFFERING OF SO MANY PEOPLE, THAT WILL
2 BE A HUGE THING FOR PART 2. THANK YOU FOR HEARING
3 ME OUT.

4 MR. SHEEHY: THANK YOU, DON. ARE THERE
5 ADDITIONAL PUBLIC COMMENTS? WE HAVE ONE HERE IN SAN
6 FRANCISCO -- SAN DIEGO.

7 DR. CRANE: MY NAME IS DR. ANDREW CRANE.
8 EVAN CAN'T BE HERE, SO I'M SPEAKING ON HIS BEHALF.
9 I'M A SCIENTIST IN HIS LAB. AND HE ORIGINALLY ASKED
10 ME TO READ THIS LETTER THAT WAS ADDRESSING THE
11 POTENTIAL FDA HURDLES FOR GETTING THIS MULTIMODAL
12 THERAPY APPROVED AND FELT THAT THIS WAS NOT
13 RECOMMENDED FOR FUNDING BASED PRIMARILY ON THAT.

14 SO MAYBE THERE WAS A MISUNDERSTANDING. HE
15 ASKED ME TO READ THIS LETTER, AND THE GIST OF THIS
16 IS THAT, YES, THE SCAFFOLD IS BIODEGRADABLE AND IS
17 FDA APPROVED AND ENTERING CLINICAL TRIALS FOR SPINAL
18 CORD INJURY PATIENTS THROUGH A COMMERCIAL PARTNER,
19 IN VIVO THERAPEUTICS. AND THIS COMPANY WOULD ALSO
20 BE HELPING WITH OUR IND-ENABLING STUDIES. I GUESS
21 THIS WAS NOT KNOWN TO THE GRANTS WORKING GROUP AT
22 THAT TIME.

23 SIMILARLY, WE HAVE NEW DATA SHOWING,
24 ACCORDING TO EVAN, THAT STEM CELLS ALONE DO NOT MAKE
25 A SIGNIFICANT IMPACT ON SPINAL CORD INJURY. THE

BARRISTERS' REPORTING SERVICE

1 SCAFFOLD ALONE DOES MAKE AN IMPACT, BUT IT'S NOT
2 NEARLY AS IDEAL AS WHAT POTENTIALLY COULD BE WHEN
3 THE THERAPIES ARE COMBINED. THERE IS A SYNERGY WITH
4 STEM CELLS AND THE SCAFFOLD THAT ARE INCREASING THE
5 BEHAVIORAL AND FUNCTIONAL RESPONSES IN THE SPINAL
6 CORD INJURY MODEL.

7 SO WITH REGARD TO THE DATA ON THESE
8 APPROACHES, WE PROVIDE THIS DATA SHOWING THAT THE
9 COMBINATION IS AN IDEAL AVENUE FOR EXPLORATION. SO
10 EVAN WOULD SIMPLY LIKE TO REQUEST THAT THE
11 APPLICATION BE REASSESSED BY AN EXTERNAL SCIENTIFIC
12 STUDY SECTION WITH THIS NEW INFORMATION AND CORRECT
13 THE DATA IN EVIDENCE. THANK YOU.

14 MR. SHEEHY: SO THE APPLICANT IS
15 REQUESTING RE-REVIEW. I THINK --

16 DR. SAMBRANO: THIS WAS PART OF WHAT WAS
17 REVIEWED DURING THE APPEALS PROCESS. SO THESE
18 POINTS HAVE BEEN ALREADY CAREFULLY CONSIDERED. AND
19 THE CONCLUSION WE REACHED WAS THAT THE APPEAL DID
20 NOT MEET EITHER THE CRITERIA OR DID NOT ADDRESS THE
21 MAIN CONCERNS OF REVIEWERS. SO I DON'T THINK WHAT
22 WE'VE HEARD CHANGES THAT.

23 MR. SHEEHY: IS THERE ANY OTHER ADDITIONAL
24 PUBLIC COMMENT? SHOULD WE PROCEED TO A VOTE? AND
25 THE VOTE IS TO APPROVE THIS APPLICATION FOR FUNDING.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: ANNE-MARIE DULIEGE.
2 DR. DULIEGE: YES.
3 MS. BONNEVILLE: MARCY FEIT. MICHAEL
4 GOLDBERG.
5 MR. GOLDBERG: YES.
6 MS. BONNEVILLE: STEVE JUELSGAARD.
7 MR. JUELSGAARD: NO.
8 MS. BONNEVILLE: FRANCISCO PRIETO.
9 DR. PRIETO: NO.
10 MS. BONNEVILLE: ROBERT QUINT.
11 DR. QUINT: NO.
12 MS. BONNEVILLE: AL ROWLETT.
13 MR. ROWLETT: NO.
14 MS. BONNEVILLE: JOAN SAMUELSON.
15 MS. SAMUELSON: ABSTAIN.
16 MS. BONNEVILLE: JEFF SHEEHY.
17 MR. SHEEHY: NO.
18 MS. BONNEVILLE: OS STEWARD. JONATHAN
19 THOMAS.
20 CHAIRMAN THOMAS: NO.
21 MS. BONNEVILLE: ART TORRES. DIANE
22 WINOKUR.
23 MR. HARRISON: WHILE WE'RE WAITING FOR
24 MEMBERS WINOKUR AND TORRES TO RETURN TO --
25 MR. TORRES: AYE.

BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: SENATOR TORRES VOTES AYE.

2 MR. HARRISON: THE MOTION FAILS BY A VOTE
3 OF THREE YES VOTES TO SIX NO VOTES.

4 AND JUST TO CONFIRM, THE PRIOR MOTION ON
5 THE DCF APPLICATIONS WAS VALID, ALL THE
6 APPLICATIONS.

7 MR. SHEEHY: ARE THERE ANY ADDITIONAL
8 MOTIONS TO MOVE AN APPLICATION FROM TIER III INTO
9 TIER I? ARE WE READY FOR A GLOBAL MOTION MUCH LIKE
10 THE LAST ONE? COULD YOU MAYBE GIVE US THE FORM?

11 MR. HARRISON: YES. THE MOTION WOULD BE
12 TO APPROVE THE DC APPLICATIONS IN TIER I AND NOT TO
13 FUND THE REMAINING APPLICATIONS.

14 MR. SHEEHY: SO COULD I GET A MAKER AND A
15 SECOND?

16 DR. DULIEGE: SO MOVED.

17 MR. JUELSGAARD: SECOND.

18 MR. SHEEHY: THE SECOND IS STEVE
19 JUELSGAARD. AND THEN THE SAME FORM AS BEFORE.
20 ANNOUNCE YOUR CONFLICTS, SO TO SPEAK. ANY PUBLIC
21 COMMENT ON ANY REMAINING APPLICATIONS IN THIS
22 CATEGORY EITHER HERE OR IN PALO ALTO? NO PUBLIC
23 COMMENT. THEN WE'LL GO TO A ROLL CALL.

24 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

25 DR. DULIEGE: YES.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: MARCY FEIT. MICHAEL
2 GOLDBERG.

3 MR. GOLDBERG: YES, EXCEPT FOR THOSE WITH
4 WHICH I HAVE A CONFLICT.

5 MS. BONNEVILLE: STEVE JUELSGAARD.

6 MR. JUELSGAARD: YES.

7 MS. BONNEVILLE: SHERRY LANSING.
8 FRANCISCO PRIETO.

9 DR. PRIETO: YES, EXCEPT FOR THOSE WITH
10 WHICH I HAVE A CONFLICT.

11 MS. BONNEVILLE: ROBERT QUINT.

12 DR. QUINT: YES.

13 MS. BONNEVILLE: AL ROWLETT.

14 MR. ROWLETT: YES, EXCEPT FOR THOSE WITH
15 WHICH I HAVE A CONFLICT.

16 MS. BONNEVILLE: JOAN SAMUELSON.

17 MS. SAMUELSON: ABSTAIN.

18 MS. BONNEVILLE: JEFF SHEEHY.

19 MR. SHEEHY: YES, EXCEPT FOR THOSE WITH
20 WHICH I HAVE A CONFLICT.

21 MS. BONNEVILLE: OS STEWARD. JONATHAN
22 THOMAS.

23 CHAIRMAN THOMAS: YES.

24 MS. BONNEVILLE: ART TORRES.

25 MR. TORRES: AYE.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: DIANE WINOKUR.

2 MR. HARRISON: IF STAFF COULD TRY TO FIND
3 MEMBER WINOKUR SO WE COULD RECORD HER VOTE ON THIS
4 MOTION, THAT WOULD BE GREAT.

5 DR. FINE: AM I ON YOUR LIST?

6 MR. HARRISON: NO. WE ONLY CALL MEMBERS
7 OF THE APPLICATION REVIEW SUBCOMMITTEE WHO ARE
8 ELIGIBLE TO VOTE. AND AS AN INSTITUTIONAL MEMBER
9 YOU ARE NOT.

10 CHAIR, I'D SUGGEST THAT WE LEAVE THE ROLL
11 CALL OPEN AS WE'RE PERMITTED TO DO UNDER THE BOARD'S
12 BYLAWS UNTIL AFTER THE LUNCH BREAK, AND THEN WE CAN
13 THEN HAVE MEMBER WINOKUR RECORD HER VOTE AT THAT
14 TIME IF THAT'S ACCEPTABLE TO THE BOARD.

15 MR. SHEEHY: J.T., I THINK THIS IS YOUR
16 CALL, NOT MY CALL.

17 CHAIRMAN THOMAS: THAT IS ACCEPTABLE. FOR
18 MEMBERS OF THE PUBLIC, WE ARE GOING TO BREAK FOR
19 LUNCH AND CLOSED SESSION AT THE MOMENT. I THINK YOU
20 HAVE A SENSE OF WHERE THE VOTE IS, SO I DON'T THINK
21 IT'S NECESSARY FOR YOU TO STICK AROUND UNTIL CLOSED
22 SESSION IS OVER BECAUSE THAT WILL BE A LITTLE WHILE.

23 SO I WOULD LIKE TO ECHO THE COMMENTS OF
24 MR. SHEEHY. THANK YOU, ALL OF YOU, FOR COMING FOR
25 YOUR COMMENTS, FOR SHARING YOUR STORIES. WE GREATLY

BARRISTERS' REPORTING SERVICE

1 APPRECIATE IT.

2 AND SO BEFORE MARIA SPEAKS ON THE ALL
3 IMPORTANT ISSUE OF WHERE LUNCH IS, JAMES, IF YOU
4 COULD JUST ADMONISH US AS TO THE BASIS FOR THE
5 CLOSED SESSION.

6 MR. HARRISON: THE BOARD WILL BE CONVENING
7 IN CLOSED SESSION TO DISCUSS PERSONNEL PURSUANT TO
8 HEALTH AND SAFETY CODE SECTION 125290.30(F)(3)(D).

9 CHAIRMAN THOMAS: MARIA.

10 MS. BONNEVILLE: FOR BOARD MEMBERS LUNCH
11 IS IN THE PACIFIC ROOM, WHICH IS THAT WAY. GO OUT
12 AND TURN LEFT AROUND THE CORNER TOWARDS THE END.
13 AND STAFF, WE WILL HAVE LUNCH WHERE WE HAD BREAKFAST
14 THIS MORNING.

15 DR. FINE: WHAT TIME DO YOU WANT US TO LOG
16 IN FOR PHONE COMMUNICATION? THERE'S A SEPARATE
17 CONNECTION REQUIRED. WHAT TIME DO YOU WANT US TO
18 OPEN THAT CONNECTION?

19 CHAIRMAN THOMAS: I WOULD SAY FIVE
20 MINUTES.

21 DR. FINE: OKAY. THANK YOU.

22 CHAIRMAN THOMAS: THANK YOU, THOSE ON THE
23 PHONE. WE WILL NOW ADJOURN TO CLOSED SESSION AND
24 SEE EVERYBODY AT ITS CONCLUSION.

25 (THE APPLICATION REVIEW SUBCOMMITTEE

BARRISTERS' REPORTING SERVICE

1 WAS THEN ADJOURNED, AND THE FULL BOARD THEN CONVENE
2 IN CLOSED SESSION, NOT REPORTED NOR HEREIN
3 TRANSCRIBED.)

4 CHAIRMAN THOMAS: EVERYBODY PLEASE TAKE
5 YOUR SEATS. MEETING IS NOW CALLED BACK TO ORDER. I
6 THINK THE FIRST ORDER OF BUSINESS IS, DIANE, WE
7 NEED -- JAMES, WHICH VOTE DO WE NEED DIANE'S FINAL
8 TALLY ON?

9 MR. HARRISON: WE NEED DIANE'S VOTE ON THE
10 MOTION TO APPROVE THE DC APPLICATIONS IN TIER I AND
11 NOT TO FUND THE REMAINING APPLICATIONS.

12 MS. WINOKUR: MY VOTE IS YES.

13 CHAIRMAN THOMAS: DIANE VOTES YES.

14 SO, MR. HARRISON, THE RESULTS OF THE VOTE
15 ARE?

16 MR. HARRISON: THE MOTION CARRIES.

17 CHAIRMAN THOMAS: THANK YOU. THAT
18 CONCLUDES THE EARLY TRANSLATION IV DISCUSSION. I
19 WOULD LIKE TO PUBLICLY THANK MR. SHEEHY FOR HIS
20 GREAT LEADERSHIP. THIS IS THE FIRST MAJOR
21 DISCUSSION THAT WE'VE HAD UNDER THE NEW PROTOCOL
22 WITH PROGRAMMATIC REVIEW BEING AT THE BOARD, AND I
23 THOUGHT IT WENT EXTREMELY WELL. JEFF, THANK YOU FOR
24 YOUR WORK IN GUIDING US THROUGH. LET THE RECORD
25 SHOW WE THANKED MR. SHEEHY.

BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: THANK YOU TO THE CHAIR.

2 CHAIRMAN THOMAS: NEXT WE'RE PROCEEDING TO
3 ACTION ITEM NO. 11, CONSIDERATION OF PROPOSED
4 PROGRAM ANNOUNCEMENTS FOR CIRM INDUSTRY CO-FUNDING
5 AGREEMENT. ELONA HAS THE PODIUM.

6 MS. BAUM: THANK YOU VERY MUCH FOR YOUR
7 ATTENTION. AND WHAT I WOULD LIKE TO DO IS BRIEFLY
8 DESCRIBE A CONCEPT PROPOSAL FOR A PROGRAM
9 ANNOUNCEMENT WHICH WOULD SEEK THE CREATION OF
10 INDUSTRY COLLABORATION AND CO-FUNDING AGREEMENTS
11 WITH CIRM AND ELIGIBLE INDUSTRY REPRESENTATIVES.

12 SO BEFORE I START WITH THE PURPOSE, I JUST
13 WANTED TO GIVE A LITTLE BIT OF BACKGROUND ABOUT OUR
14 STRATEGIC PARTNERSHIP FUNDING RFA'S.

15 (INTERRUPTION IN PROCEEDINGS.)

16 CHAIRMAN THOMAS: HOLD ON ONE SECOND.
17 TECHNOLOGY GURU AMY IS ON HER WAY OVER TO FIGURE OUT
18 WHAT'S WRONG.

19 MS. BAUM: OKAY. JUST TO SET THE STAGE
20 AND PROVIDE A LITTLE BIT OF BACKGROUND, OUR
21 STRATEGIC PARTNERSHIP FUNDING RFA'S HAVE A
22 COMMERCIALIZATION VALIDATION REQUIREMENT AS AN
23 ELIGIBILITY REQUIREMENT. AND WHAT THAT PROVIDES IS
24 THAT IN ORDER TO BE ELIGIBLE FOR ENTRY INTO THAT
25 RFA, YOU EITHER HAVE TO HAVE AN AGREEMENT WITH AN

BARRISTERS' REPORTING SERVICE

1 INDUSTRY COLLABORATOR, AND WE'VE DESCRIBED THAT
2 COLLABORATOR AS HAVING TO HAVE A MARKET CAP OF 500
3 MILLION, OR YOU HAVE TO HAVE RAISED, AND IT'S
4 CHANGED BETWEEN RFA'S, EITHER 10 MILLION IN THE PAST
5 TWO YEARS OR 15 MILLION IN THE PAST TWO YEARS. IF
6 YOU CAN SATISFY THAT REQUIREMENT, WE SAY YOU'VE
7 ESTABLISHED COMMERCIAL VALIDATION AND YOU AT LEAST
8 CAN BE ELIGIBLE VIS-A-VIS THOSE REQUIREMENTS.

9 AND SO WHAT THE PURPOSE OF THIS PROPOSED
10 AGREEMENT VIA THE PROGRAM ANNOUNCEMENT IS IS TO MAKE
11 IT WIDELY KNOWN TO THE INDUSTRY THAT WE ARE
12 INTERESTED IN ENTERING INTO THESE AGREEMENTS WITH
13 ALL QUALIFIED ENTITIES; I.E., PHARMA THAT HAS A 500
14 MILLION MARKET CAP OR BIOPHARMACEUTICAL THAT HAS A
15 500 MARKET CAP AND VENTURE FIRMS WITH THE QUALIFYING
16 CRITERIA TO BE DETERMINED AT A LATER DATE IN THE RFA
17 OR THE PROGRAM ANNOUNCEMENT.

18 AND I THINK THE REASON IS HOPEFULLY
19 SELF-EVIDENT. IT'S, IN ESSENCE, SO WE CAN
20 JUMP-START THE PROCESS BECAUSE WHAT WE HAVE SEEN IN
21 PRACTICE IS THAT WE POST THE RFA AND THEN THE
22 DISCUSSIONS BETWEEN THE CALIFORNIA RESEARCHERS AND
23 THE INDUSTRY PARTNERS START BEGINNING JUST THEN.
24 WELL, WE ALL KNOW IT TAKES A LOT LONGER TIME THAN
25 FOUR MONTHS, WHICH IS THE TYPICAL OR SIX MONTHS

BARRISTERS' REPORTING SERVICE

1 WHICH IS A TYPICAL SPAN FROM POSTING THE RFA TO
2 COMING TO THE ICO TO ACTUALLY CONCLUDE THESE
3 NEGOTIATIONS. SO I FEEL LIKE WE'RE OFTEN BEHIND THE
4 EIGHT BALL IN TERMS OF KNOWING WHETHER OR NOT THESE
5 AGREEMENTS EVER WILL COME INTO FRUITION.

6 SO AS I SAID, WHAT WE'RE TRYING TO DO WITH
7 THESE AGREEMENTS NOW IS TO JUMP-START THE PROCESS
8 AND LET, IF THERE ARE ANY INDUSTRY COLLABORATORS OUT
9 THERE WILLING TO ENGAGE WITH US, LET THEM KNOW THAT
10 WE'D LIKE TO ENTER INTO THE PROCESS EARLY. AND LET
11 ME PROVIDE YOU WITH SOME PROVISIONS AS TO WHAT THESE
12 AGREEMENTS WOULD LOOK LIKE. BUT BEFORE I DO THAT
13 ACTUALLY, I ALSO WANT TO TELL YOU WHAT THEY WON'T
14 LOOK LIKE.

15 THIS IS NOT A CO-FUNDED RFA. SO WHAT THAT
16 WILL MEAN IS THAT CIRM WILL CONTROL THE PROCESS IN
17 EVERY SHAPE AND FORM. AND IT'S ALSO NOT INTENDED TO
18 BE IDENTICAL TO WHAT WE HAVE RIGHT NOW WITH
19 GOVERNMENT ENTITIES, THE COLLABORATIVE FUNDING
20 PARTNER PROGRAM. BUT WHAT IT WILL DO IS THE
21 FOLLOWING. SO AS I SAID, IT WILL BE OPEN TO
22 QUALIFIED BIOPHARMAS, VC'S. AND WHAT IT WOULD
23 PROVIDE FOR IS THAT EACH ENTITY, CIRM AND THE
24 PARTNER, WOULD ONLY FUND PROGRAMS OF MUTUAL
25 INTEREST. SO IT DOESN'T REQUIRE THE PHARMA TO FUND

BARRISTERS' REPORTING SERVICE

1 SOMETHING THEY'RE NOT INTERESTED IN; IT DOESN'T
2 REQUIRE CIRM TO FUND SOMETHING THAT THEY'RE NOT
3 INTERESTED IN.

4 IN TERMS OF THE RIGHTS OR BENEFITS THE
5 PARTNER WOULD RECEIVE, THEY WOULD HAVE INPUT INTO
6 THE STRATEGIC PARTNERSHIP CONCEPTS THAT WE POST AND
7 PRESENT TO THE BOARD; BUT, OF COURSE, THE INPUT DOES
8 NOT HAVE TO BE ACCEPTED BY CIRM. AND WHAT IT WOULD
9 MEAN IS THAT IF THIS IS ACCEPTED, WE WOULD KNOW WHO
10 OUR INTERESTED PARTNERS ARE. WE'D BE ABLE TO
11 IDENTIFY THEM ON OUR WEB SITES, AND THEN WE COULD
12 TAKE PROACTIVE STEPS TO MATCH OUR RESEARCHERS WITH
13 THESE ORGANIZATIONS THAT ARE INTERESTED IN DOING
14 SOME CO-FUNDING WITH US. WE MIGHT EVEN ENGAGE IN
15 WORKSHOPS WITH THEM OR OTHER EVENTS, BUT NOTHING SET
16 IN STONE AT THIS TIME.

17 I ALSO WANT TO EMPHASIZE THAT WE CAN UNDER
18 THIS PROGRAM ANNOUNCEMENT HAVE MULTIPLE INDUSTRY
19 AGREEMENTS. IT DOESN'T HAVE TO BE WITH JUST ONE
20 COMPANY AND ONLY ONE COMPANY. AND ALSO I WANT TO
21 EMPHASIZE THAT THE RESEARCHERS IN CALIFORNIA CAN
22 COME IN WITH A DESIGNATED INDUSTRY CO-FUNDING
23 PARTNER OR THEY CAN COME UP WITH ANYBODY ELSE AS
24 LONG AS THEY CAN SATISFY THE COMMERCIALIZATION
25 VALIDATION REQUIREMENT. SO THIS REALLY JUST IS

BARRISTERS' REPORTING SERVICE

1 TRYING TO ENHANCE THE STRATEGIC PARTNERSHIP PROGRAM,
2 BUT THERE ARE MULTIPLE WAYS IN WHICH CALIFORNIA
3 RESEARCHERS CAN COME IN.

4 AND ALSO IT BEARS TO BE EMPHASIZED THAT
5 OUR FUNDING STRUCTURE REMAINS THE SAME. IT'S THE
6 SAME GWG REVIEW, IT'S THE SAME BOARD APPROVAL.
7 NOTHING CHANGES IN THAT REGARD.

8 I ALSO WANT TO EMPHASIZE THAT IN TERMS OF
9 THE INDUSTRY PARTNER'S ACCESS TO CONFIDENTIAL
10 INFORMATION, THIS IN MANY WAYS IS DIFFERENT THAN OUR
11 CFP PROGRAM BECAUSE HERE CIRM DOESN'T GIVE ACCESS TO
12 OTHER POTENTIAL PROGRAMS TO AN INDUSTRY PARTNER. SO
13 THEY ARE NOT SITTING IN OUR GRANTS WORKING GROUP
14 REVIEWS. WE NEVER GET IN THE MIDDLE OF PROVIDING
15 ANY CONFIDENTIAL INFORMATION. IT IS STRICTLY UP TO
16 THE CALIFORNIA RESEARCHER TO PROVIDE ACCESS TO THE
17 INFORMATION IF THEY SO DESIRE AS REQUESTED BY THE
18 INDUSTRY COLLABORATOR, AND THE COLLABORATOR DOES
19 THEIR OWN DUE DILIGENCE.

20 AND I ALSO WANTED TO EMPHASIZE THAT ALL IP
21 REGULATIONS WILL BE IN PLACE AND WILL APPLY AS WELL.

22 SO I THINK THERE MIGHT BE SOME QUESTIONS
23 AS TO HOW THIS WILL WORK IN PRACTICE, SO I ACTUALLY
24 INCLUDE IN MY SET OF SLIDES A FLOWCHART, AND I'M
25 HAPPY TO GO THROUGH THE FLOWCHART IF THAT IS OF

BARRISTERS' REPORTING SERVICE

1 INTEREST.

2 HEARING NO QUESTIONS, I THINK I'LL DO
3 THAT. THE WAY IT WOULD WORK, AND THIS IS JUST ONE
4 EXAMPLE, IS THAT, OKAY, WE'RE SUCCESSFUL. YOU ALL
5 VOTE AND SUPPORT THIS. WE ACTUALLY ENTER INTO AN
6 AGREEMENT WITH A BIOPHARMA. THEN WHAT CIRM DOES IS
7 FIND WAYS TO CREATE LINKAGES WITH THE BIOPHARMA OR
8 THE VC AND CALIFORNIA ENTITY. SO WE DO THAT BY
9 POSTING ON OUR WEB SITE. GEE, COMPANIES A, B, AND C
10 ARE INTERESTED IN THERAPEUTIC AREAS X, Y, AND Z.
11 AND HOPEFULLY THAT HELPS FACILITATE SOME OF THE
12 LINKAGES. AND, AGAIN, WE CAN SURMISE OTHER INPUTS.

13 AT SOME POINT IN TIME, WE ALSO ASK THESE
14 ENTITIES IF THEY HAVE ANY INPUT THEY WANT TO PROVIDE
15 TO THE NEXT ROUND OF STRATEGIC PARTNERSHIP RFA'S.
16 AS YOU KNOW, THE CONCEPT PLANS ARE VERY, VERY HIGH
17 LEVEL. SO I WOULDN'T SURMISE THAT THEY WOULD HAVE A
18 LOT OF PARTICULAR INPUT, BUT THEY MIGHT WANT TO
19 INDICATE AT LEAST WHAT AREAS THAT THEY'RE INTERESTED
20 IN, AND WE DON'T HAVE TO LISTEN TO THAT. THEN, OF
21 COURSE, AS I INDICATED, HOPEFULLY THROUGH OUR
22 LINKAGES EFFORTS, THESE PARTNERSHIPS ARE CREATED,
23 THERE'S SOME INTEREST CREATED BETWEEN CALIFORNIANS
24 AND THE FUNDING PARTNERS, AND THE FUNDING PARTNER
25 STARTS DOING ITS DUE DILIGENCE AND NEGOTIATES A

BARRISTERS' REPORTING SERVICE

1 POTENTIAL DEAL WITH THEM.

2 SO WHILE THE NEGOTIATIONS ARE ONGOING WITH
3 THE POTENTIAL CALIFORNIA RESEARCHER, CIRM POSTS ITS
4 RFA. AND THEN THE CALIFORNIA COMPANY COULD COME IN
5 WITH A LETTER OF INTENT THAT'S SIGNED BY THIS
6 POTENTIAL COLLABORATOR, WHICH IS WHAT WE'VE ALWAYS
7 REQUIRED IS LETTER OF INTENT AT THE ENTRY STAGE, AND
8 THEY WILL BE CONTINUING TO NEGOTIATE WHILE THE GWG
9 ACTUALLY EVALUATES THE PROJECT. AND MEANWHILE THE
10 COLLABORATOR IS EVALUATING THEIR DUE DILIGENCE. AND
11 THEN IT COULD BE THAT, IF ALL GOES WELL, THE
12 INDUSTRY PARTNER AND THE CALIFORNIA RESEARCHER ENTER
13 INTO AN AGREEMENT AND ULTIMATELY, ONCE THAT
14 AGREEMENT IS ENTERED INTO AND IF THE PROJECT HAS
15 RECEIVED AN ELIGIBLE SCORE, IT WILL GO TO THE ICOC,
16 BUT WE WOULD NOT BRING TO THE ICOC THOSE PROJECTS
17 UNLESS AND UNTIL THE AGREEMENT HAS BEEN ENTERED INTO
18 BETWEEN THE CALIFORNIA RESEARCHER AND THE FUNDING
19 ENTITY.

20 SO THAT'S IN CONCLUSION THE WAY THAT THIS
21 PROCESS IS ENVISIONED, AND IT'S MY HOPE THAT THIS
22 BOARD WOULD SUPPORT THIS AND LET US POST THIS
23 PROGRAM ANNOUNCEMENT AS DEFINED.

24 DR. WESTON: WHAT WAS YOUR THINKING ABOUT
25 PUTTING A CAPITALIZATION LIMIT AT 500 MILLION? AND

BARRISTERS' REPORTING SERVICE

1 WHO DO YOU THINK THAT'S GOING TO EXCLUDE BY DOING
2 THAT?

3 MS. BAUM: WE DID SOME ANALYSIS IN THE
4 VERY BEGINNING BECAUSE WE WERE GETTING SOME
5 CALIFORNIA RESEARCHERS THAT WERE SAYING THAT
6 ENTITIES HAVING \$100 MILLION MARKET CAP WAS THEIR
7 PARTNER. AND THE THOUGHT IS WE WANTED COMPANIES
8 THAT SEEMED THAT THEY HAD AT LEAST A SUFFICIENT
9 LEVEL OF RESOURCES TO BE ABLE TO QUALIFY. AND LONG
10 AGO WE DECIDED ON THE 500 BECAUSE THE WHOLE POINT OF
11 IT IS IDEALLY TO GET SOME LEVERAGE FOR THE CURRENT
12 PROJECT, BUT IDEALLY ALSO TO HAVE STRONG BACKING SO
13 THAT THE PARTNER COULD HOPEFULLY PROVIDE SOME
14 FOLLOW-ON FUNDING ONCE THE CIRM-FUNDED PROJECT IS
15 COMPLETED.

16 MR. SHEEHY: WHO CONTROLS THE FATE OF
17 THESE PROJECTS? I WOULD BE CONCERNED THAT WE WOULD
18 BE PUTTING MONEY INTO A PROJECT, THE COMPANY WOULD
19 BE PUTTING MONEY INTO THE PROJECT, BUT THE ULTIMATE
20 CONTROL OVER WHAT HAPPENS TO THESE PROJECTS WOULD
21 THAT NOT LIE WITH THE COMPANY? AND SO IF THEY
22 DECIDED THAT FOR WHATEVER STRATEGIC REASON THEY HAD
23 THAT THEY WEREN'T INTERESTED IN INVESTING ANYMORE,
24 HAVING MADE THAT INVESTMENT, I'M NOT COMPLETELY SURE
25 THEY WOULD NECESSARILY BE INTERESTED JUST GIVING IT

BARRISTERS' REPORTING SERVICE

1 TO THE WORLD. I JUST WONDER. WE PUT 10 MILLION
2 INTO A PROJECT, THEY PUT 10 MILLION INTO A PROJECT
3 OR THEY PUT FIVE AND FIVE. I THINK IT WAS ABOUT 10
4 MILLION IS WHAT WE'RE DOING FOR THE STRATEGIC
5 PARTNERSHIPS.

6 PRODUCT DEVELOPMENT IS REplete WITH
7 SITUATIONS WHERE PEOPLE HAVE DEVELOPED PRODUCTS
8 WITHIN COMPANIES, BUT THEY DIDN'T MEET THE LONG-TERM
9 STRATEGIC VISION, AND THEY JUST PUT THEM ON THE
10 SHELF AND THEY SAT ON THE SHELF. HOW DO WE ADDRESS
11 THAT PROBLEM?

12 MS. BAUM: SO THAT'S WHY I MENTIONED OUR
13 IP REGULATIONS BECAUSE WE DO HAVE MARCH-IN RIGHTS
14 UNDER OUR IP REGULATIONS THAT APPLY, AND THOSE WOULD
15 BE REFERENCED IN THE ACTUAL AGREEMENT WITH THE
16 INDUSTRY COLLABORATORS.

17 MR. SHEEHY: I DON'T THINK THAT THAT'S --
18 I MEAN, WITH ALL DUE RESPECT, I DON'T THINK THAT'S
19 WHAT THOSE MARCH-IN RIGHTS WERE DESIGNED FOR. AND I
20 THINK THAT WAS A VERY CONTROVERSIAL ELEMENT IN OUR
21 IP REGULATIONS BACK WHEN WE MADE THEM. I HATE TO
22 KEEP SAYING DUANE ROTH, BUT AROUND THE CORNER HERE
23 IN DUANE ROTH'S OFFICE WAY BACK IN THE DAY WHEN ED
24 PENHOET WAS CHAIRING THOSE MEETINGS. BUT ONE OF THE
25 MOST SENSITIVE AREAS THAT WE WERE DISCUSSING WERE

BARRISTERS' REPORTING SERVICE

1 MARCH-IN RIGHTS. AND OUR COMMUNICATION AT THE TIME
2 WAS THAT WE WOULD BE VERY RELUCTANT TO USE THOSE
3 UNLESS THERE WAS AN ABSOLUTE NECESSITY, MUCH LIKE
4 BAYH-DOLE HAS ONE FOR THE FEDERAL GOVERNMENT, PUBLIC
5 HEALTH. WE OBVIOUSLY DIDN'T WANT THINGS TO MOLDER.

6 BUT I WOULD BE CONCERNED HERE THAT WE
7 WOULD BE SETTING UP A SITUATION WHERE OUR ONLY
8 RECOURSE TO MAKING SURE THE PRODUCTS ARE DEVELOPED
9 THAT WE HAD INVESTED IN IS TO INVOKE OUR MARCH-IN
10 RIGHTS WHICH I THINK WOULD TERRIFY ANY OTHER COMPANY
11 FROM ENGAGING WITH US IN ANY OTHER SCENARIO BECAUSE
12 THEY'D SEE US AS BASICALLY WALKING AROUND WITH A
13 LOADED GUN ALL THE TIME.

14 MS. BAUM: THE AGREEMENT ITSELF WOULD HAVE
15 PROVISIONS THAT TALK ABOUT WHAT TO DO IN THE COURSE
16 OF MIDWAY THROUGH A MILESTONE ISN'T MET AND WHO CAN
17 TERMINATE AND UNDER WHAT CONDITIONS AND WHO WOULD
18 END UP HAVING ACCESS AND THE ABILITY TO CONTINUE
19 DEVELOPMENT OF IT. SO THESE AGREEMENTS WOULD HAVE
20 PROVISIONS SUCH AS THAT.

21 AND THEN WHAT YOU SAY IS NO DIFFERENT THAN
22 WHAT WOULD HAPPEN NO MATTER WHAT WITH ANY INDUSTRY
23 PARTNER. IF THEY OWN THE IP AND THEY RECEIVE OUR
24 FUNDING, THEY'RE OBLIGATED TO FIND SOME FORM OF
25 PRACTICAL APPLICATION UNDER OUR REGULATIONS. BUT

BARRISTERS' REPORTING SERVICE

1 WHAT YOU'RE SAYING MEANS THAT WE WOULD NEVER EVER
2 FUND ANY COMPANY BECAUSE SOMETIMES COMPANIES OWN IP.

3 MR. SHEEHY: I JUST WOULD LIKE TO BE A
4 LITTLE MORE CLEAR WHAT WE'RE TALKING ABOUT BECAUSE
5 WE'RE GOING FROM A CASE-BY-CASE BASIS TO ACTUALLY
6 GETTING INTO FORMAL PARTNERSHIPS WITH COMPANIES.
7 ARE THERE SPECIFIC COMPANIES WHO ARE INTERESTED IN
8 THIS?

9 MS. BAUM: I THINK ALAN HAS A COMMENT.

10 CHAIRMAN THOMAS: LET DR. TROUNSON ANSWER
11 IT, THEN ELONA, THEN MR. JUELSGAARD.

12 DR. TROUNSON: SO THE WAY I ENVISAGE IT IS
13 THAT IT NEEDS TO BE A JOINT FUNDING ARRANGEMENT. SO
14 I'VE BEEN LOOKING AT THIS AS AN OPPORTUNITY TO
15 LEVERAGE OUR FUNDING TO HELP GO THE FURTHER
16 DISTANCE. SO I THINK IN A JOINT FUNDING SITUATION,
17 WE WOULD HAVE THE SAME KIND OF CONTRACTUAL
18 ARRANGEMENTS WORKING WITH ANY KIND OF COMPANY IN
19 SUPPORT OF THAT PROJECT.

20 I THINK WHEN OUR FUNDING IS FINISHED, AND
21 THE COMPANY WANTS TO TAKE IT ON, YEAH, WE REALLY
22 PROBABLY DON'T HAVE A PLACE FOR THAT. BUT THAT'S
23 GOING TO BE THE CASE, I GUESS, MOST OF THE TIME WHEN
24 WE GET TO PHASE II.

25 SO I HAVE BEEN ENVISAGING THIS AS A SORT

BARRISTERS' REPORTING SERVICE

1 OF JOINT FUNDING ARRANGEMENT. THAT'S THE WAY I'VE
2 SEEN IT, THAT THERE ARE SOME COMPANIES WHO HAVE AN
3 INTEREST IN COMING INTO SOME OF THE PROJECTS, BRING
4 AN INTEREST INTO THE PROJECTS ON A BASIS THAT THEY
5 WOULD GO THE DISTANCE OF THAT GRANT, BUT WHO KNOWS
6 WHAT'S GOING TO HAPPEN NEXT. DEPENDS ON WHETHER
7 THAT PROJECT WAS REALLY WORKING WELL OR NOT. AND
8 THAT'S PRETTY MUCH MOST OF OUR ARRANGEMENTS ARE ON
9 THAT BASIS.

10 I ACTUALLY DON'T SEE US JUST GIVING OVER
11 THE PROJECT TO THAT COMPANY ALONE. I DON'T SEE THAT
12 AS THE APPROPRIATE VEHICLE IN MY OWN VIEW, BUT I
13 THINK THE BOARD WOULD NEED TO DECIDE. BUT I SEE IT
14 AS A JOINT FUNDING ARRANGEMENT FOR WHICH WE WOULD
15 TAKE A DUAL APPROACH TO GETTING IT THROUGH TO THE
16 END OF THAT GRANTING PERIOD.

17 MR. SHEEHY: I'M JUST TRYING TO UNDERSTAND
18 THIS. AND I WONDER IF THIS MIGHT NOT HAVE BEEN
19 SOMETHING THAT WE SHOULD HAVE HEARD IN COMMITTEE.
20 BECAUSE THERE'S A DIFFERENCE BETWEEN ONE PROJECT
21 WITH A COMPANY. THERE'S AN INTEREST THAT'S BEEN
22 NEGOTIATED WITH THE INVESTIGATOR. AND THEN
23 CO-FUNDING A WHOLE BASKET OF APPLICATIONS. LET'S
24 SAY THAT THERE'S A COMPANY THAT HAS AN INTEREST IN A
25 PARTICULAR DISEASE INDICATION. THEY COME IN, THEY

BARRISTERS' REPORTING SERVICE

1 FUND FOUR OR FIVE DIFFERENT INVESTIGATORS, PICK THE
2 BEST ONE AND SHELF THE REST. THOSE OTHER FOLKS,
3 WHAT HAPPENS TO THEM? MAYBE STEVE MIGHT HAVE SOME
4 QUESTIONS ON THIS.

5 DR. JUELSGAARD: SO IN MY EXPERIENCE,
6 THERE ARE THREE PARTIES, AS I UNDERSTAND IT,
7 INVOLVED IN WHAT YOU'RE PROPOSING. SO ONE IS THE
8 INVENTOR OF THE PROJECT, THE DEVELOPER, WHETHER IT'S
9 A RESEARCH INSTITUTION OR A COMPANY OR WHATEVER IT
10 IS, AND THEN THERE'S A BIGGER COMPANY, WHETHER IT'S
11 500 MILLION OR SOME OTHER NUMBER, IT COULD BE
12 ANOTHER POINT OF DISCUSSION, AND THEN THERE'S CIRM.
13 AND SO CIRM IS POTENTIALLY PROVIDING MONEY.

14 BUT IN THE VERY FIRST INSTANCE, THE
15 COMPANY THAT HAS THE PROJECT THAT'S SEEKING A
16 PARTNERSHIP WITH A BIGGER COMPANY HAS EVERY
17 INCENTIVE NOT TO HAVE HAPPEN WHAT IT IS THAT YOU
18 JUST TALKED ABOUT. AND IT'S VERY TYPICAL, IN FACT,
19 IT WOULD BE ATYPICAL NOT TO, TO HAVE A CLAW-BACK
20 PROVISION. IN OTHER WORDS, IF YOU DECIDE TO STOP
21 WORKING ON THIS, WE GET IT BACK. BECAUSE YOU DON'T
22 WANT TO BE LEFT IN THAT POSITION HAVING BROUGHT THE
23 BABY SO FAR FORWARD TO HAVE IT PUT ON THE SHELF.
24 YOU WANT TO BE ABLE TO PULL IT BACK AND FIND
25 SOMEBODY ELSE TO WORK ON IT OR WORK ON IT YOURSELF.

BARRISTERS' REPORTING SERVICE

1 AND INVARIABLY THOSE FIND THEIR WAY INTO AGREEMENTS.

2 I'D ASK ANNE-MARIE IF SHE AGREES WITH THAT
3 BECAUSE THAT'S CERTAINLY BEEN MY EXPERIENCE. SO I
4 THINK THAT'S FIRST AND FOREMOST, THE BULWARK AGAINST
5 WHAT YOU'RE CONCERNED ABOUT.

6 WHAT WE SHOULD BE ABLE TO DO IS REVIEW
7 THAT AGREEMENT BEFORE WE MAKE A CO-FUNDING DECISION
8 HERE TO MAKE SURE THAT THERE IS AN APPROPRIATE
9 PROVISION THAT REALLY PREVENTS WHAT YOU'RE TALKING
10 ABOUT FROM HAPPENING THAN HAVING SOME LARGE COMPANY
11 JUST DECIDE THAT IT DOESN'T WANT TO CONTINUE WITH
12 THIS AND THAT IT WILL JUST PUT IT ON THE SHELF
13 BECAUSE IT HAS THE ABILITY TO AND NOTHING ELSE CAN
14 BE DONE WITH IT. I THINK WE CAN DEAL WITH THAT.

15 MR. SHEEHY: BUT WHAT ABOUT A COMPANY THAT
16 WANTS TO -- YOU'RE TALKING ABOUT OVER 500 MILLION,
17 SO POTENTIALLY COMPANIES THAT COULD GO BUY
18 TECHNOLOGY AND JUST SHOVE IT OFF. THEY WOULD HAVE
19 AN INTEREST. I'M NOT SURE THAT THESE WOULD ALWAYS
20 BE SOPHISTICATED, EARLY COMPANY STAGE. WE'RE
21 TALKING ABOUT INVESTIGATORS. SO LET'S SAY YOU SEE
22 POTENTIAL COMPETITORS. YOU MIGHT PICK THEM UP AND
23 SHELVE THEM SO THEY DON'T COMPETE WITH YOUR PRODUCT.

24 MS. BAUM: I'D ALSO LIKE TO MENTION THAT
25 OUR IP REGULATIONS ALSO ADDRESS THIS BECAUSE IN OUR

BARRISTERS' REPORTING SERVICE

1 IP REGULATIONS WE ALLOW UNIVERSITIES, NON-PROFITS,
2 TO ENTER INTO EXCLUSIVE LICENSES. AND IT HAS A
3 WHOLE SET OF CAVEATS AND PRECAUTIONS THAT PROTECT
4 THE PROJECT, MEANING THAT THERE HAS BEEN A
5 DEVELOPMENT PROGRAM THAT THE PARTIES AGREE TO, THERE
6 NEEDS TO BE REMEDIES IN THE INSTANCE WHERE THE
7 PROGRAM IS NOT PROGRESSING ETC., ETC. AND, OF
8 COURSE, THE CLAW-BACK PROVISIONS WHICH WILL ALWAYS
9 BE THERE.

10 AND, STEVE, I THINK THAT'S GREAT. WE
11 SHOULD PUT IN A CLAUSE THAT WE GET TO REVIEW THE
12 CONTRACTS. WE GET TO REVIEW THE IP CONTRACTS IN THE
13 CASE OF THE COLLABORATIVE FUNDING PARTNERS
14 AGREEMENTS THAT WE DO WITH OUR GOVERNMENT ENTITIES.
15 SO WE'RE USED TO DOING THAT.

16 DR. JUELSGAARD: JUST TO ANSWER JEFF'S
17 QUESTION ONE MORE TIME. SO, JEFF, THE INSTITUTIONS
18 THAT WE'RE DEALING WITH THAT ARE CARRYING OUT THESE
19 PROJECTS FOR THE MOST PART, THESE ARE NOT THE ONLY
20 THINGS THEY DO IN THEIR LIFE. THEY ARE INVOLVED
21 WITH A LOT OF OTHER PROJECTS AS WELL, WHICH I
22 IMAGINE GET LICENSED WHOLLY INDEPENDENTLY OF CIRM IN
23 OTHER SITUATIONS THAT HAVE NOTHING TO DO WITH
24 REGENERATIVE MEDICINE. IT MIGHT HAVE TO DO WITH THE
25 ANTIBODY WORLD OR GOD KNOWS WHAT OR EVEN NONMEDICAL

BARRISTERS' REPORTING SERVICE

1 USES.

2 SO IT SEEMS TO ME THAT THEY HAVE BUILT
3 INTO THEIR INSTITUTIONAL MECHANISMS WAYS OF DEALING
4 WITH THE ISSUE THAT THEIR RESEARCH WOULD JUST BE
5 SIDELINED SOMEWHERE BY SOME COMMERCIAL ENTERPRISE.
6 SO I WOULD BE SURPRISED IF MOST OF THESE
7 ORGANIZATIONS THAT DO THIS KIND OF WORK ACCOUNT FOR
8 THAT WHEN THEY ENTER INTO AGREEMENTS WITH OTHERS.

9 BUT I HAVE ANOTHER QUESTION.

10 CHAIRMAN THOMAS: YOU ASK THAT QUESTION,
11 THEN DEAN HAWGOOD.

12 DR. HAWGOOD: I WAS JUST GOING TO BUILD ON
13 WHAT STEVE WAS SAYING, JEFF. I THINK HE'S EXACTLY
14 RIGHT. WE HAVE ANY NUMBER OF EXACTLY THOSE KIND OF
15 TERMS BUILT INTO MANY OF OUR INDUSTRY AGREEMENTS
16 SUCH THAT IF OUR PARTNER DOES NOT WANT TO PROCEED
17 FOR WHATEVER REASON, THEN IT REVERTS BACK. AND
18 THAT'S CONTRACTUALLY BUILT IN.

19 DR. JUELSGAARD: SO, ELONA, QUESTION FOR
20 YOU. SO YOU REFERRED TO A COLLABORATION AND
21 CO-FUNDING AGREEMENT IN THIS PRESENTATION. COULD
22 YOU JUST OUTLINE QUICKLY THE OBLIGATIONS OF CIRM IN
23 SUCH AN AGREEMENT AND THE OBLIGATION OF THE COMPANY
24 THAT'S A PARTY TO THIS? WHAT ARE THEIR OBLIGATIONS
25 UNDER THIS AGREEMENT?

BARRISTERS' REPORTING SERVICE

1 MS. BAUM: WELL, IT'S VERY LIGHT, I WOULD
2 SAY, IN TERMS OF THE OBLIGATIONS OF CIRM. SO CIRM'S
3 OBLIGATIONS ARE TO PROVIDE SOME SORT OF
4 NOTIFICATION, THE INTEREST OF THE PARTIES OF THE
5 FUNDING ENTITY, IN SUPPORTING CIRM-FUNDED RESEARCH
6 EITHER ON OUR WEB SITE AND/OR WITHIN THE ACTUAL RFA
7 THAT'S POSTED. THAT'S THE FIRST ONE.

8 AND THEN THE SECOND ONE WOULD BE MAYBE TO
9 MAKE SOME EFFORT TO CREATE RESEARCH TEAMS, BUT MY
10 FIRST DRAFT AT THIS WAS VERY LOOSE, AND IT DIDN'T
11 REQUIRE AN ABSOLUTE REQUIREMENT TO DO MEETINGS AND
12 LINKAGES.

13 THE OTHER MORE SIGNIFICANT ONE THAT I
14 MENTIONED IS THAT WE DO GIVE THEM THE OPPORTUNITY TO
15 PROVIDE US INPUT INTO THE CONCEPT PLAN FOR THE RFA
16 AND THAT'S IT. VERY CAREFUL THIS DRAFTING OF A
17 SAMPLE AGREEMENT NOT TO COMMIT ANYTHING ELSE.

18 DR. JUELSGAARD: WHAT ARE THEIR
19 OBLIGATIONS?

20 MS. BAUM: THEIR OBLIGATIONS WOULD BE TO
21 CO-FUND AS REQUIRED BY THE RFA OR AT LEAST TO
22 PROVIDE SOME SORT OF FUNDING TO THE ACTUAL GRANTEE
23 TO ENABLE THAT GRANTEE TO MAKE ITS MATCH AS REQUIRED
24 BY THE RFA. SO WE WILL HAVE TO OVERSEE THAT THAT
25 ACTUALLY OCCURS. AND THEN IT WAS TO MAKE SURE THAT

BARRISTERS' REPORTING SERVICE

1 THEY CONTINUE TO PROVIDE THAT CO-FUNDING IF
2 MILESTONES ARE MET, AND THERE IS A PROVISION THAT WE
3 CAN ADDRESS A FAILURE TO MEET MILESTONES BECAUSE YOU
4 CAN ENVISION, AS WHAT HAPPENS WITH THE CFP
5 SITUATION, WHERE A MILESTONE IS NOT MET AND THEN THE
6 TWO PARTIES WANT TO DO SOMETHING DIFFERENT. CIRM
7 MIGHT WANT TO CONTINUE AND THE OTHER PARTY MIGHT
8 NOT. AND THEN WE PROVIDE SORT OF A MECHANISM TO
9 UNWIND.

10 BUT THAT IS ALL THE DETAIL. WHAT WE'RE
11 TRYING TO FOCUS ON NOW IS REALLY JUST THE PROGRAM
12 ANNOUNCEMENT. AND WHAT THE DETAILS OF THE CONTRACT
13 ULTIMATELY WILL BE WE CAN DISCUSS LATER.

14 DR. JUELSGAARD: I AGREE WITH THAT. I
15 THINK MAYBE JUST DROPPING THE WORD "AGREEMENT" OUT
16 OF ALL THIS ANNOUNCEMENT AT THIS POINT MIGHT BE A
17 GOOD THING TO DO. I'M NOT SURE YOU HAVE TO HAVE AN
18 AGREEMENT TO DO ALL THE THINGS THAT YOU'RE TALKING
19 ABOUT AT THIS POINT.

20 LASTLY, YOU HAVE THIS NOTION OF 50-50 COST
21 SHARING. AND THIS ACTUALLY GOES TO DISCUSSIONS THAT
22 WE HAD OTHERWISE ABOUT FLEXIBILITY IN THESE
23 ARRANGEMENTS. SO WHAT IF SOMEBODY SAID, WELL, I'M
24 WILLING TO CO-FUND, BUT I'D LIKE CIRM TO CO-FUND 60
25 AND I'LL CO-FUND 40? SO ARE WE GOING TO WRITE THAT

BARRISTERS' REPORTING SERVICE

1 OFF BECAUSE IT'S GOT TO BE 50-50 OR NOTHING AT ALL?

2 MS. BAUM: WELL, I PERSONALLY AM ONE WHO
3 LIKES FLEXIBILITY. I THINK WHAT IT WOULD DEPEND ON
4 IS HOW WE END UP DRAFTING THE RFA WHICH COULD BE
5 FLEXIBLE. RIGHT NOW WHAT WE TRY TO SAY IS THAT YOU
6 CAN MAKE UP SOME OF THE REQUIRED MATCH WITH IN-KIND
7 SERVICES. AND WE FEEL LIKE WE STRUCK A FLEXIBLE
8 GROUND THAT IS APPEALING TO THE PHARMAS WITH THAT.

9 THE PROBLEM IS IT'S KIND OF LIKE A GAME OF
10 CHICKEN. YOU HAVE TO SORT OF PUSH THIS ON PEOPLE OR
11 ELSE THEY MIGHT NOT END UP GENERATING THE INTEREST.
12 BUT THERE IS ALWAYS THE EXCEPTIONS PATHWAY THAT
13 PROVIDES US FLEXIBILITY UNDER THE RFA. SO WE TRY TO
14 GO OUT PRETTY STRONG WITH WHAT OUR DESIRE IS. WE
15 HAVE AN IN-KIND SERVICES APPROACH TO MEETING PART OR
16 ALL OF THAT, AND THEN THERE'S AN EXCEPTIONS PATHWAY.

17 CHAIRMAN THOMAS: I MAY HAVE MISSED THIS,
18 ELONA. DID YOU ANSWER MR. SHEEHY'S QUESTION ABOUT
19 ARE THERE ANY COMPANIES INTERESTED?

20 MS. BAUM: NO, I DID NOT BECAUSE WE TOOK A
21 DIFFERENT TURN. SO THERE WAS ONE COMPANY THAT HAS
22 EXPRESSED CONSIDERABLE INTEREST, AND IT WAS SOME
23 TIME AGO. SO I HOPE THEY'RE STILL INTERESTED. I'M
24 NOT SURE.

25 MR. SHEEHY: CAN WE SAY WHO THEY ARE?

BARRISTERS' REPORTING SERVICE

1 MS. BAUM: I DON'T THINK I SHOULD STATE
2 WHO THEY ARE.

3 MR. SHEEHY: I MEAN AT WHAT POINT DO WE
4 HAVE, LIKE, SOME SORT OF TRANSPARENCY? WE ARE A
5 STATE AGENCY. WE'RE TALKING ABOUT BEING PARTNERS
6 WITH SOMEBODY.

7 MS. BAUM: THE WHOLE POINT OF THIS IS TO
8 MAKE IT VERY TRANSPARENT BECAUSE WE WANT TO, AS SOON
9 AS IT'S EXECUTED, ADVERTISE IT SO THAT THE
10 CALIFORNIA RESEARCHERS KNOW WHO TO ENGAGE. SO, OF
11 COURSE, AS SOON AS IT IS EXECUTED, WE WILL MAKE THIS
12 VERY VISIBLE. AND, OF COURSE, WE'LL DO EVERYTHING
13 WE CAN TO GET MORE PEOPLE INTERESTED.

14 DR. TROUNSON: JEFF, I THINK IT'S JUST
15 THAT WE DON'T WANT TO SAY NOW BECAUSE WE HAVEN'T GOT
16 ANY AGREEMENT WITH THEM. I DON'T THINK WE'VE
17 PROGRESSED IT THAT DISTANCE. OF COURSE, WE WOULD
18 HAVE TO LIST IT ON THE WEB SITE AND EVERYTHING ELSE.
19 IT WOULD HAVE TO BE TRANSPARENT, BUT RIGHT NOW WE
20 HAVEN'T TAKEN THE DISCUSSIONS FAR ENOUGH TO KNOW
21 WHETHER THEY'D STILL BE INTERESTED.

22 MR. SHEEHY: I GUESS I'M JUST KIND OF
23 UNCLEAR ABOUT HOW ALL THIS IS GOING TO WORK. I FEEL
24 LIKE I'M VOTING NOW TO BECOME PARTNERS WITH
25 COMPANIES, NAMES OF WHICH I DO NOT KNOW, AND I FEEL

BARRISTERS' REPORTING SERVICE

1 VERY UNCOMFORTABLE ABOUT THAT. I DON'T NECESSARILY
2 THINK CONCEPTUALLY THIS IS A BAD IDEA, BUT I ALMOST
3 FEEL LIKE IT'D BE BETTER TO HAVE A WORKSHOP AND SAY
4 THIS IS WHAT WE'RE TALKING ABOUT DOING, GETTING A
5 SENSE OF WHO'S INTERESTED AND HOW THIS WOULD
6 PROCEED. IT JUST SEEMS -- IT'S ONE THING TO MAKE
7 ARRANGEMENTS WITH GOVERNMENTS, WITH NONPROFIT
8 AGENCIES; BUT I WONDER, WHEN YOU'RE TALKING ABOUT
9 HEAVILY CAPITALIZED COMPANIES, WHAT THAT
10 RELATIONSHIP IS REALLY GOING TO LOOK LIKE AND HOW WE
11 BALANCE OUR INTERESTS WITH THEIR INTERESTS.

12 DR. DULIEGE: JEFF, AREN'T WE VOTING TODAY
13 FOR A PROPOSAL AND A PROCESS IN GENERAL
14 INDEPENDENTLY OF WHICH COMPANY HAS ALREADY MENTIONED
15 INTEREST OR WILL IN THE FUTURE?

16 MR. SHEEHY: I'M NOT SURE WHAT WE'RE
17 VOTING ON. WE HAVE A CONCEPT. I DON'T KNOW WHAT
18 THE PRICE TAG IS ASSOCIATED WITH THIS, BUT CERTAINLY
19 WE ARE TALKING ABOUT SETTING ASIDE SOME SEGMENT OF
20 MONEY TO DO THIS.

21 MS. BAUM: I'LL ANSWER THAT. WHAT WAS
22 ENVISIONED IS THIS WOULD REALLY JUST SORT OF
23 FACILITATE WHAT IS ALREADY SET ASIDE FOR STRATEGIC
24 PARTNERSHIP FUNDS. SO, AS I SAID, THIS IS JUST
25 TRYING TO JUMP-START THOSE NEGOTIATIONS THAT ARE

BARRISTERS' REPORTING SERVICE

1 REQUIRED TO CREATE THE ELIGIBILITY REQUIREMENT FOR
2 COMPANIES. SO THEY COULD ACTUALLY GO TO SOME OF
3 THESE COMPANIES ON THEIR OWN, THE ONES THAT WOULD BE
4 POTENTIAL CO-FUNDERS, AND COME IN IF THEY WERE ABLE
5 TO STRIKE A DEAL. BUT I'D RATHER START THE PROCESS
6 EARLIER IN THE NEGOTIATIONS WITH THESE LARGER
7 COMPANIES AND THE CALIFORNIANS.

8 DR. WESTON: WHAT HAPPENS ONCE THERE'S A
9 DISCOVERY?

10 MS. BAUM: I DIDN'T HEAR YOU.

11 DR. WESTON: THESE ARE VERY EARLY STAGE
12 PROJECTS OR ALONG THE WAY TO TRANSLATION, BUT
13 THERE'S NO DISCOVERY YET. SO WHAT HAPPENS ONCE
14 THERE IS A DISCOVERY?

15 MS. BAUM: I THINK THERE'S A
16 MISUNDERSTANDING HERE. STRATEGIC PARTNERSHIP
17 FUNDING RFA'S HAVE ALWAYS BEEN IN THE LATTER STATE
18 OF THE DEVELOPMENT. THEY ARE IN THE DEVELOPMENT
19 PIPELINE. SO THERE WILL BE A CANDIDATE THAT'S
20 REQUIRED, A DRUG CANDIDATE.

21 DR. WESTON: THEN HOW WILL YOU SHARE THE
22 COST OF THE DEVELOPMENT OF THAT 50-50 BECAUSE THOSE
23 CAN BE INCREDIBLY EXPENSIVE?

24 MS. BAUM: SO THE STRATEGIC FUNDING
25 PARTNERSHIP PROGRAM HAS TRADITIONALLY BEEN TEN FROM

BARRISTERS' REPORTING SERVICE

1 CIRM OR UP TO TEN FROM CIRM AND A MATCH BY THE
2 GRANTEE FOR USUALLY A COMPLETION OF A PHASE I AND/OR
3 A PHASE II.

4 DR. WESTON: SO THE COST OF THE
5 DEVELOPMENT IS CAPPED SOMEWHERE. IT'S NOT THROUGH
6 TO FDA APPROVAL. IT'S SOME EARLIER STAGE?

7 MS. BAUM: THE WAY OUR RFA'S WORK, THAT'S
8 CORRECT.

9 MR. SHEEHY: AREN'T RFA'S BASED ON
10 CONCEPTS THAT THEY WOULD BE INTIMATELY INVOLVED IN
11 DRAFTING?

12 MS. BAUM: THEY HAVE THE OPPORTUNITY TO
13 PROVIDE INPUT. WE CAN ACCEPT OR REJECT ANY INPUT
14 THAT WE RECEIVE.

15 MR. SHEEHY: THE PROBLEM WE'RE TRYING TO
16 ADDRESS IS THAT WE HAVE A STRATEGIC PARTNERSHIP
17 PROGRAM IN WHICH THE PEOPLE WHO CAN'T GET
18 APPLICATIONS BECAUSE PEOPLE CAN'T FIND CO-FUNDING
19 FOR THEM?

20 MS. BAUM: RIGHT. AND THEY ARE ENTERING
21 NEGOTIATIONS WITH VARIOUS PHARMA AND BIOPHARMA, BUT
22 THOSE TAKE TIME. SO WE FIND THAT WE'RE GOING OR
23 WE'RE IN THE PLACE WHERE, GEE, THEY HAVE A LETTER OF
24 INTEREST, IT'S NOT VERY SOLID, DO WE WANT TO INVEST
25 RESOURCES FOR GWG REVIEW? THEN DO WE WANT TO HAVE A

BARRISTERS' REPORTING SERVICE

1 TEAM THAT SCORES HIGH, BUT HASN'T ACTUALLY SECURED A
2 FINAL AGREEMENT BE BEFORE THE ICOC? SO WHAT WE'RE
3 TRYING TO DO IS JUMP-START THE TIMING WITH THE
4 CREATION OF POTENTIAL PROGRAMS AND RELATIONSHIPS
5 EARLIER ON.

6 DR. WESTON: SORRY. I JUST DON'T QUITE
7 UNDERSTAND THE PROCESS. SO YOU WOULD COME BACK HERE
8 WHEN YOU HAVE AN AGREEMENT THAT YOU WOULD WANT THE
9 BOARD TO APPROVE ONCE YOUR LETTER OF INTENT IS
10 SIGNED, AND THAT WOULD HAVE THE CAP OF MONEY THAT
11 THE STATE IS COMMITTING TO OR CIRM IS COMMITTING TO?

12 MS. BAUM: WHAT WE WOULD DO, AS WE ALWAYS
13 DO, WE'D COME BACK TO THE BOARD WHEN WE HAVE A SCORE
14 FROM A GWG THAT SAYS, YES, WE RECOMMEND APPROVAL FOR
15 THIS STRATEGIC PARTNERSHIP-FUNDED PROGRAM IN THE
16 AMOUNT OF UP TO \$10 MILLION. WE WILL NOT BE COMING
17 WITH THE ACTUAL AGREEMENT.

18 MR. SHEEHY: BUT WHAT IF THE COMPANY BACKS
19 OUT?

20 MS. BAUM: WELL, THAT WOULD BE THE RISK
21 WITH OR WITHOUT THIS PROGRAM. IT'S ALWAYS A RISK.
22 AND IF IT BACKS OUT, OF COURSE, THERE WILL BE THE
23 CLAW-BACK PROVISIONS. AND THEN THE QUESTION IS
24 WOULD THE GRANTEE HAVE THE MONEY TO CONDUCT THE REST
25 OF THE TRIAL? THERE WILL BE PROVISIONS THAT ADDRESS

BARRISTERS' REPORTING SERVICE

1 THIS WITHIN ANY AGREEMENT. THIS IS NO DIFFERENT
2 THAN WHAT WE WOULD HAVE RIGHT NOW.

3 MS. SAMUELSON: TELL ME IF THIS IS WHAT
4 THE SITUATION IS, THAT WE'RE TRYING TO ATTRACT
5 INDUSTRY FUNDING, INDUSTRY INVOLVEMENT IN A
6 BIOMEDICAL DEVELOPMENT. AND THIS WOULD PROVIDE A
7 FRAMEWORK THAT WOULD JUMP-START, AS YOU SAID.

8 MY PERCEPTION OF WHAT THE PROBLEM IS IS
9 THE SCIENCE ISN'T DEVELOPED ENOUGH FOR INDUSTRY TO
10 SEE IT AS ENOUGH OF A WIN BECAUSE THE RISK IS STILL
11 TOO HIGH. AM I WRONG?

12 MS. BAUM: WELL, I WOULD SAY THAT'S NOT
13 PRECISE. I THINK THAT IT DEPENDS ON WHO THE
14 ORGANIZATION IS. OBVIOUSLY THERE AREN'T MANY
15 BIOPHARMAS THAT ARE FUNDING IN THIS AREA, BUT THERE
16 IS NOT A ZERO. THERE HAVE BEEN THE PFIZERS WHO HAVE
17 INVESTED. THERE'S J & J THAT ACTUALLY INVESTED --
18 AT LEAST J & J DEVELOPMENT CORP. THAT JUST INVESTED
19 IN VIACYTE. SO, YES, THERE ARE SOME OUT THERE.
20 THERE'S THIS ONE ORGANIZATION THAT HAS EXPRESSED
21 SOME INTEREST. SO WHILE IT IS A YOUNG FIELD AND
22 SOME COMPANIES ARE CAUTIOUS, NOT ALL ARE.

23 MS. SAMUELSON: THEY MOVED AHEAD BECAUSE
24 THEY HAVE THIS SORT OF FRAMEWORK?

25 MS. BAUM: WELL, I WOULD ABSOLUTELY SAY

BARRISTERS' REPORTING SERVICE

1 THAT THE FUNDING THAT VIACYTE RECEIVED FROM ITS
2 PARTNERS, INCLUDING J & J, WOULD NOT HAVE HAPPENED
3 BUT FOR STRATEGIC PARTNERSHIP BECAUSE WE HAD
4 PROVIDED THEM WITH \$10 MILLION AND SAID THEY HAD TO
5 HAVE A MATCH. AND SO THEY WENT OUT AND FOUND THAT
6 MATCH.

7 DR. TROUNSON: WE ARE GETTING INCREASING
8 INTEREST FROM THE BIOPHARMACEUTICAL INDUSTRY, AND
9 SOME OF THOSE PROJECTS ARE EARLY AND SOME OF THEM,
10 ONE BY ONE THEY'RE COMING IN TO SAY WE'D LIKE TO
11 CO-FUND THAT. AND IT CAN BE AS EARLY AS THE EARLY
12 TRANSLATION, AND IT CAN BE AS LATE AS THE DISEASE
13 TEAMS GOING TO THE CLINIC. SO THERE'S MORE AND MORE
14 INTEREST. AND SO SOME OF THE COMPANIES ARE PROBABLY
15 INTERESTED IN TWO OR THREE PROJECTS, MAYBE FOUR OF
16 THEM, BUT IT'S A RESTRICTED NUMBER. BUT THERE'S AT
17 LEAST THE ODD COMPANY. THERE'S THE LARGEST
18 COMPANIES WHO SEE THEY WOULD LIKE TO EXPAND THEIR
19 PORTFOLIO OF INTEREST, AND THIS IS ONE WAY OF COMING
20 IN WITH US AND TO EXPAND THEIR INTEREST WITH THE
21 POSSIBILITY THAT THEY COULD FUND HOPEFULLY UP TO TEN
22 PROJECTS OVER SEVERAL YEARS.

23 AND I THINK FOR US WE NEED TO KEEP
24 THINKING ABOUT THESE THINGS BECAUSE IN THE EVENT
25 THAT WE DON'T GET RE-FUNDED AT ALL, WE'RE GOING TO

BARRISTERS' REPORTING SERVICE

1 HAVE A LOT OF THESE PROJECTS ORPHANED, IF YOU LIKE,
2 WITHOUT PEOPLE TO LOOK AFTER THEM. SO MY CONCERN AT
3 THE MOMENT, I THINK, IS ABOUT MAKING SURE THAT WE
4 CREATE ALL THE OPPORTUNITIES WE CAN TO LEVERAGE WHAT
5 DOLLARS THAT WE'VE GOT CURRENTLY IN THE BANK ACCOUNT
6 WITH THOSE TRANSLATIONAL PROJECTS. THEY'RE ALL
7 TRANSLATION GOING TO THE CLINIC. AND TO SEE IF WE
8 CAN HELP AS MANY OF THEM AS WE CAN IN THE TIME FRAME
9 THAT WE HAVE.

10 OF COURSE, IF WE GET A NEW PROPOSITION OR
11 NEW MONEY COME IN, IT MAKES IT A LOT EASIER FOR US.
12 BUT CURRENTLY WE ARE AT THAT EDGE THAT WE COULDN'T
13 PROBABLY TAKE MORE THAN ABOUT 15 OR 20 PERCENT AT
14 THE MOST OF WHAT WE'VE GOT THROUGH TO EVEN TO PHASE
15 II.

16 MR. SHEEHY: YOU KNOW, I WONDER -- I MEAN
17 THIS IS AN INTERESTING IDEA. I PERSONALLY DON'T
18 KNOW THAT THIS WOULD BE SOMETHING THAT I COULD
19 SUPPORT AT THIS TIME. I WONDER IF IT WOULD MAKE
20 SENSE TO REFER THIS TO THE IP AND INDUSTRY
21 SUBCOMMITTEE AND GET SOME OF THESE DETAILS REALLY
22 KIND OF WORKED OUT.

23 MR. TORRES: SECOND THAT.

24 MR. SHEEHY: I DON'T KNOW IF STEVE THINKS
25 HE'D BE COMFORTABLE KIND OF CONDUCTING THAT KIND OF.

BARRISTERS' REPORTING SERVICE

1 I JUST WOULD -- I JUST DON'T KNOW. I JUST FEEL LIKE
2 IT NEEDS A LITTLE MORE. I DON'T KNOW.

3 DR. DULIEGE: JEFF, WE CERTAINLY CAN DO
4 THAT IF YOU WANT TO. BUT IF I UNDERSTAND CORRECTLY,
5 WHAT THIS PROPOSAL IS ALL ABOUT IS SIMPLY HELPING
6 GRANTEES THAT HAVE SHOWN SOME SCIENTIFIC MERIT GET
7 THE SUPPORT OF THE CIRM TO GET INDUSTRY FUNDING OR
8 WHICHEVER THIRD-PARTY FUNDING THEY NEED TO MATCH THE
9 PROPOSAL, IN THAT CASE BEING INDUSTRY. SO THAT'S
10 ACTUALLY A VERY SIMPLE CONCEPT. AND FOR ANY TYPE OF
11 CONTRACT THAT WE'RE HAVING WITH THE THIRD PARTY,
12 THERE ARE PROVISIONS FOR IF THEY FAIL TO -- NOT IN
13 THE SITUATION TO DELIVER ON THEIR COMMITMENTS.
14 THAT'S PART OF ANY NEGOTIATION, ANY CONTRACT.

15 EXAMPLE. A PARTY COMMIT TO \$10 MILLION,
16 BUT THEY COMMIT AS PER MILESTONES BEING REACHED. IF
17 THESE MILESTONES ARE NOT REACHED, THEY'RE OBLIGATED
18 TO PAY. THEY'RE OBLIGATED TO PAY UNLESS THEY BECOME
19 INSOLVABLE, WHICH IS A VERY DIFFERENT SITUATION.
20 AND HAVING A BOTTOM FLOOR OF \$500 MILLION LIMITS THE
21 RISK OF THESE COMPANIES BECOMING ONE DAY INSOLVABLE.

22 SO WE CAN DEFINITELY BRING IT BACK TO THE
23 IP SUBCOMMITTEE. I'LL TELL YOU THIS IS THE KIND OF
24 DATA WE'LL HAVE OVER. FOR ME IT'S A LITTLE BIT OF A
25 NO BRAINER I HAVE TO SAY, AND I WOULD VOTE FOR IT

BARRISTERS' REPORTING SERVICE

1 TODAY.

2 CHAIRMAN THOMAS: MR. JUELSGAARD, WHAT'S
3 YOUR STATE OF PLAY OPINION?

4 DR. JUELSGAARD: WELL, I THINK THE
5 ULTIMATE GOAL HERE IS TO TRY AND ATTRACT INDUSTRY TO
6 LOOK AT OUR PROJECTS AND HOPEFULLY TAKE SOME OF THEM
7 ON. AND THE QUESTION IS WHAT'S THE BEST WAY TO DO
8 THAT. AND I THINK THAT'S WHAT ELONA AND ALAN HAVE
9 BEEN SEARCHING FOR, AND THIS IS ONE OF THE POSSIBLE
10 WAYS OF DOING THAT. I THINK IT ACTUALLY MIGHT BE A
11 GOOD THING AT AN UPCOMING IP AND INDUSTRY
12 SUBCOMMITTEE MEETING JUST TO TAKE ON THAT SUBJECT
13 AND LOOK AT VARIOUS WAYS GIVEN THE DIFFERENT
14 EXPERIENCES THAT PEOPLE ON THAT COMMITTEE HAVE HAD
15 OF HOW YOU TRY TO ENTICE INDUSTRY TO COME ALONG
16 FURTHER THAN THEY HAVE TO DATE WITH THE SORT OF
17 PROJECTS THAT WE HAVE.

18 BUT ALONG WITH ANNE-MARIE, I THINK THAT I
19 DON'T KNOW THAT THIS WILL BE THAT SUCCESSFUL. UNTIL
20 WE TRY IT, WE DON'T KNOW. BUT I DON'T SEE A LOT OF
21 DOWNSIDE IN IT.

22 DR. HAWGOOD: I AGREE. IF I UNDERSTAND
23 WHAT'S BEING PROPOSED, WE'RE SIMPLY PUTTING OUT A
24 CALL FOR COMPANIES TO SELF-IDENTIFY WHO MIGHT BE
25 INTERESTED IN ENTERING INTO ONE OF OUR REGULAR

BARRISTERS' REPORTING SERVICE

1 FORMAL RFP-TYPE PROCESSES IN THE FUTURE. WE'RE NOT
2 CALLING THIS AN AGREEMENT OR SEEMS TO HAVE MADE IT
3 SOUND MORE COMPLEX THAN SIMPLY A CALL FOR COMPANIES
4 TO SELF-IDENTIFY THAT THEY MIGHT BE INTERESTED IN
5 WORKING WITH CIRM IN THE FUTURE. IS THAT RIGHT?

6 MS. BAUM: WELL, WE COULD CERTAINLY GO
7 THAT ROUTE. I WAS HOPING TO ALSO GET AUTHORITY TO
8 ENTER INTO AN AGREEMENT WITH THEM RATHER THAN JUST
9 HAVE THEM SELF-IDENTIFY SO WE COULD TACKLE THE
10 VARIOUS ISSUES THAT ACTUALLY WERE BROUGHT UP IN THE
11 COURSE OF DISCUSSION.

12 DR. HAWGOOD: WHAT WOULD THEY BE AGREEING
13 TO AT THIS POINT BECAUSE THE ACTUAL PROJECT HASN'T
14 BEEN IDENTIFIED?

15 MS. BAUM: A PROCESS THAT WOULD DEAL WITH
16 RIGHTS TO TERMINATE AND COMMITMENTS TO FUNDING WHICH
17 ARE ALWAYS IN AGREEMENTS.

18 DR. HAWGOOD: SOME OF THE TECHNICAL
19 ISSUES.

20 MS. BAUM: CONFIDENTIALITY, LETTING THEM
21 KNOW THAT THEY AREN'T GOING TO BE IN THE GWG. IT'S
22 SETTING FORTH AN AGREEMENT AROUND A PROCESS REALLY.
23 THE REAL MEAT AND POTATOES IN ANY AGREEMENT WILL BE
24 THE AGREEMENT THAT'S BETWEEN THE GRANTEE AND THE
25 FUNDING PARTNER. THAT'S WHERE THE LICENSING AND THE

BARRISTERS' REPORTING SERVICE

1 ROYALTY PROVISIONS WOULD BE.

2 DR. HAWGOOD: SO REALLY JUST HAVING ANY
3 COMPANY THAT DOES SELF-IDENTIFY UNDERSTAND AT A VERY
4 HIGH LEVEL THE RULES OF THE ROAD --

5 MS. BAUM: EXACTLY.

6 DR. HAWGOOD: -- THAT THEY WOULD BE
7 AGREEING TO EVENTUALLY.

8 MS. BAUM: RIGHT. THEY WOULDN'T EVEN BE
9 ENTITLED TO RECEIVE FUNDING UNLESS AND UNTIL THEY
10 ENTER INTO AN AGREEMENT THAT'S SATISFACTORY TO CIRM
11 WITH THE ACTUAL GRANTEE AND APPLICANT. IT'S VERY
12 HIGH LEVEL.

13 CHAIRMAN THOMAS: ANNE-MARIE.

14 DR. DULIEGE: I THINK THIS IS ANOTHER
15 TYPICAL EXAMPLE WHERE I RECOMMEND THAT WE LET CIRM
16 MAKE THESE DECISIONS, MOVE AHEAD, AND THAT THE BOARD
17 SHOULD -- WE SHOULD DISTANCE OURSELF A LITTLE BIT
18 FROM THE NITTY-GRITTY. IF THERE ARE QUESTIONS, CIRM
19 WILL BE THE FIRST ONE TO COME AND ASK
20 RECOMMENDATIONS OR HELP FROM THE BOARD, BUT THAT'S
21 CIRM PRIVILEGE AND ACTUALLY PART OF THEIR
22 ACCOUNTABILITY TO DO THAT.

23 MS. SAMUELSON: IT WOULDN'T HURT THOUGH, I
24 THINK, TO GET THE INPUT OF THE IP SUBCOMMITTEE
25 BEFORE THIS FINALLY BEGINS BECAUSE WE HAVE HAD

BARRISTERS' REPORTING SERVICE

1 EXPERIENCE WITH PUTTING UP A LOT OF MONEY TOWARD
2 CLINICAL TRIALS AND THERE HAVE BEEN ABRUPT ENDINGS
3 OF THEM, AND ALL OF THAT EFFORT WAS FOR NAUGHT. AND
4 SO IF THIS IS NOT GOING -- IT'S -- WE'VE HAD A VERY
5 DIFFICULT TIME GETTING TO THE CLINICAL TRIAL PHASE,
6 RIGHT? AND I'M NOT SURE THAT THESE ARE THE REAL
7 OBSTACLES AS OPPOSED TO THERE ISN'T SUFFICIENT
8 SCIENCE TO CONVINCING THE INDUSTRY PARTNER WITHOUT
9 SOME OTHER INCENTIVE LIKE SOME OF OUR MONEY OR A LOT
10 OF OUR ATTENTION TO JOIN IN IN A PARTNERSHIP OF SOME
11 KIND. IT ENDS UP SPINNING OUR WHEELS, AND WE REALLY
12 NEED TO FOCUS ON THE MOST EFFECTIVE TOOLS. I THINK
13 THERE'S SOME EXPERIENCE NOW TO APPLY TO IT.

14 MR. ROWLETT: WE ADDRESSED -- WE HAD A
15 SECOND BY SENATOR TORRES, I THINK, TO TAKE A VOTE ON
16 WHETHER OR NOT WE TAKE THIS TO THE -- WHAT WAS YOUR
17 MOTION?

18 CHAIRMAN THOMAS: MR. HARRISON, IS THAT A
19 VOTABLE TOPIC, REFERRING TO INDUSTRY AND IP
20 SUBCOMMITTEE?

21 MR. HARRISON: IT IS.

22 MR. TORRES: I WOULDN'T HAVE MADE IT IF IT
23 WASN'T.

24 CHAIRMAN THOMAS: YES, MR. SENATOR. OKAY.
25 ANY FURTHER DISCUSSION ON THAT TOPIC BEFORE WE VOTE

BARRISTERS' REPORTING SERVICE

1 ON THAT AS AN INTERIM? AND IF IT DOES GO, THEN
2 OBVIOUSLY WE TABLE THE MOTION WRIT LARGE HERE.
3 OKAY. MR. HARRISON, I ASSUME THIS COULD BE A VOICE
4 VOTE?

5 MR. HARRISON: YES. AFTER PUBLIC COMMENT,
6 A VOICE VOTE AND ROLL CALL VOTE FOR THE PHONE.

7 CHAIRMAN THOMAS: ANY PUBLIC COMMENT ON
8 THIS TOPIC? COMMENTS FROM ANYBODY ON THE PHONE?
9 ALL THOSE IN FAVOR OF REFERRING THIS TO IP AND
10 INDUSTRY SUBCOMMITTEE IN ADVANCE OF BRINGING IT TO
11 THE BOARD UPON THEIR DELIBERATIONS PLEASE SAY AYE.
12 OPPOSED?

13 SOUNDED LIKE A BUNCH OF HORSES IN A BARN.

14 ROLL CALL VOTE. I THINK THAT THE AYES HAD
15 IT, BUT WE'LL SEE.

16 MS. BONNEVILLE: SUE BRYANT.

17 CHAIRMAN THOMAS: AYE MEANS YOU'RE
18 REFERRING IT TO THE IP AND INDUSTRY SUBCOMMITTEE.

19 DR. DULIEGE: NO MEANS IT WILL BE APPROVED
20 DIRECTLY WITHOUT REFERRING BACK TO SUBCOMMITTEE?

21 CHAIRMAN THOMAS: CORRECT. IT HAS TO COME
22 BACK HERE.

23 MR. HARRISON: SO THIS MOTION IS SOLELY
24 FOR THE PURPOSE OF WHETHER THE BOARD WANTS TO FIRST
25 REFER THE PROGRAM ANNOUNCEMENT TO THE IP AND

BARRISTERS' REPORTING SERVICE

1 INDUSTRY SUBCOMMITTEE BEFORE COMING BACK TO THE
2 BOARD WITH A RECOMMENDATION ABOUT WHETHER TO VOTE
3 FOR OR AGAINST THE PROGRAM. IF THIS MOTION IS
4 REJECTED, THEN THE BOARD COULD TAKE UP A MOTION TO
5 APPROVE THE PROGRAM ANNOUNCEMENT AS IS.

6 CHAIRMAN THOMAS: THAT'S CORRECT.

7 MR. HARRISON: A YES VOTE HERE MEANS YOU
8 WANT IT TO FIRST GO TO THE IP AND INDUSTRY
9 SUBCOMMITTEE.

10 MS. BONNEVILLE: SUE BRYANT.

11 DR. BRYANT: NO.

12 MS. BONNEVILLE: KEN BURTIS.

13 DR. BURTIS: NO.

14 MS. BONNEVILLE: CARL WARE.

15 DR. WARE: NO.

16 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

17 DR. DULIEGE: NO.

18 MS. BONNEVILLE: MARCY FEIT. LEON FINE.
19 ELIZABETH FINI.

20 DR. FINI: NO.

21 MS. BONNEVILLE: MICHAEL FRIEDMAN.
22 MICHAEL GOLDBERG.

23 MR. GOLDBERG: YES.

24 MS. BONNEVILLE: SAM HAWGOOD.

25 DR. HAWGOOD: NO.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: STEPHEN JUELSGAARD.
2 MR. JUELSGAARD: NO.
3 MS. BONNEVILLE: SHERRY LANSING. BERT
4 LUBIN. LLOYD MINOR.
5 DR. MINOR: NO.
6 MS. BONNEVILLE: KIRK PETERSON.
7 DR. PETERSON: NO.
8 MS. BONNEVILLE: FRANCISCO PRIETO.
9 DR. PRIETO: AYE.
10 MS. BONNEVILLE: ROBERT QUINT.
11 DR. QUINT: YES.
12 MS. BONNEVILLE: AL ROWLETT.
13 MR. ROWLETT: YES.
14 MS. BONNEVILLE: JOAN SAMUELSON.
15 MS. SAMUELSON: YES.
16 MS. BONNEVILLE: JEFF SHEEHY.
17 MR. SHEEHY: YES.
18 MS. BONNEVILLE: OSWALD STEWARD. JONATHAN
19 THOMAS.
20 CHAIRMAN THOMAS: YES.
21 MS. BONNEVILLE: ART TORRES.
22 MR. TORRES: AYE.
23 MS. BONNEVILLE: EUGENE WASHINGTON. DONNA
24 WESTON.
25 DR. WESTON: YES.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: DIANE WINOKUR.

2 MS. WINOKUR: NO.

3 MR. HARRISON: SO THAT MOTION FAILS BY A
4 VOTE OF NINE YES TO TEN NO.

5 CHAIRMAN THOMAS: VERY INTERESTING, MR.
6 HARRISON. OKAY. SO THEN THAT HAVING BEEN SAID, I
7 ASSUME -- IS THERE ANY FURTHER DISCUSSION ON THE
8 ORIGINAL MOTION TO APPROVE -- THERE IS NO MOTION.
9 IS THERE A MOTION TO APPROVE?

10 DR. HAWGOOD: SO MOVED.

11 DR. PETERSON: SECOND.

12 CHAIRMAN THOMAS: SECONDED BY KIRK
13 PETERSON. OKAY. ANY FURTHER DISCUSSION?

14 DR. WESTON: IT DOES STRIKE ME THAT \$500
15 MILLION IN CAPITALIZATION IS AN AWFULLY BIG NUMBER,
16 AND I WONDER IF THERE'S ANOTHER WAY WITH SMALLER
17 ORGANIZATIONS THAT YOU CAN CAUSE THEM TO SET ASIDE
18 THEIR REQUISITE AMOUNT OF CAPITAL OR SOMETHING TO
19 ALLOW MORE PLAYERS TO PARTICIPATE IN THIS ARENA.

20 MS. BAUM: WELL, RIGHT NOW THAT'S HOW OUR
21 STRATEGIC PARTNERSHIP FUNDING RFA IS, BUT IT CAN
22 CHANGE BY RFA, WHICH IS SUBJECT TO BOARD APPROVAL AT
23 THE CONCEPT LEVEL.

24 CHAIRMAN THOMAS: OTHER COMMENTS?
25 QUESTIONS?

BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: I JUST REALLY THOUGHT THAT IT
2 WOULD HAVE BEEN USEFUL TO REALLY TRY TO, INSTEAD OF
3 CONTINUING -- WE APPROVE THIS LIKE THE FOURTH
4 STRATEGIC PARTNERSHIP THING WE'VE DONE. AND
5 RATHER -- IT JUST IT SEEMS TO ME THAT I WOULD HOPE
6 THAT THE INDUSTRY SUBCOMMITTEE WOULD TAKE THIS ON
7 AND ACTUALLY TRY TO GET TO A SOLUTION OR A SET OF
8 SOLUTIONS. IT JUST SEEMS VERY REACTIVE. AND SO I
9 DON'T -- WHAT'S THE PRODUCTIVITY OF OUR CURRENT
10 STRATEGIC PARTNERSHIP PROGRAM? THAT SHOULD BE
11 ANALYZED. WE'RE TALKING ABOUT CAPITAL LIMITS THAT
12 MAY BE A PROBLEM. AND SO, FINE, IF YOU GUYS WANT TO
13 VOTE ON THIS. THERE'S NOTHING AGENDAD FOR THE
14 INDUSTRY SUBCOMMITTEE. YOU'RE NOT REALLY DEALING
15 WITH THE PROBLEM. YOU MAY JUST HAVE GIVEN ANOTHER
16 MECHANISM TO FAIL. IF THAT IS CONSIDERED A GREAT
17 WAY IN WHICH TO TRY TO ADDRESS WHAT SEEMS TO ME TO
18 BE A SERIOUS POLICY ISSUE, THEN GO AHEAD.

19 DR. JUELSGAARD: SO, JEFF, I THINK A
20 LITTLE EARLIER I SAID I THOUGHT IT WOULD BE A GREAT
21 IDEA TO ACTUALLY HAVE A MEETING OF THE COMMITTEE TO
22 TALK ABOUT, DISCUSS THE WAYS THAT THIS ORGANIZATION
23 CAN TRY TO INTERACT WITH THE LARGER INDUSTRY, AND I
24 STILL BELIEVE THAT. AND SO I THINK WE'LL TRY AND
25 SET UP A MEETING IN THE NEAR FUTURE TO DO THAT.

BARRISTERS' REPORTING SERVICE

1 BUT THIS IS ONE WAY -- AGAIN, I THINK THE
2 JURY IS REALLY OUT AS TO WHETHER IT'S GOING TO GAIN
3 ANY TRACTION OR NOT. I DON'T KNOW. BUT I HEAR WHAT
4 YOU'RE SAYING, AND I THINK WE SHOULD DO THAT. AND
5 IN THE MEANTIME, I DON'T THINK THAT THERE'S ANY -- I
6 DON'T SEE ANY PARTICULAR DOWNSIDE TO WHAT THEY'RE
7 PROPOSING, AND MAYBE THERE'S SOME UPSIDE.

8 MR. SHEEHY: HOW ABOUT A FRIENDLY
9 AMENDMENT BECAUSE I THINK THESE TEN-NINE VOTES ARE
10 NOT BENEFICIAL TO THE BOARD IN GENERAL. COULD I
11 PROPOSE A FRIENDLY AMENDMENT, THAT PERHAPS THAT YOU
12 ACTUALLY DO LOOK AT WHAT WE'RE DOING IN STRATEGIC
13 PARTNERSHIP, THAT YOU LOOK AT WHAT'S WORKING AND
14 WHAT'S NOT WORKING, PERHAPS OBTAIN SOME FEEDBACK
15 FROM THE COMPANIES AND FROM POTENTIAL GRANTEES SO
16 THAT WE COULD ACTUALLY TRY TO SOLVE THE PROBLEM THAT
17 WE'RE TRYING TO DO. THEN I WOULD BE HAPPY TO
18 SUPPORT THIS, BUT IT'S MORE LIKE -- I FEEL LIKE
19 EVERY MEETING WE GET ANOTHER STRATEGIC PARTNERSHIP
20 THING, AND THOSE PARTNERSHIPS AREN'T REALLY COMING
21 TO FRUITION. WOULD THAT BE ACCEPTABLE TO THE MAKER
22 THE SECOND?

23 CHAIRMAN THOMAS: I GUESS THE QUESTION IS,
24 JEFF, WHAT'S THE DIFFERENCE BETWEEN THAT AND JUST
25 RESOLVING SEPARATE FROM THIS TO --

BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: IT'S A FRIENDLY AMENDMENT, SO
2 IT WOULD GO ALONG WITH APPROVING THIS PROGRAM, BUT
3 THAT WOULD ALLOW ME TO SUPPORT GOING AHEAD BECAUSE
4 AT LEAST WE'D BE DOING SOME ANALYSIS INSTEAD OF
5 CONTINUING TO APPROVE THINGS ENDLESSLY.

6 DR. TROUNSON: SO, CHAIR, WE'D BE HAPPY TO
7 REPORT ON THAT TO THE SUBCOMMITTEE. AND WE'VE ONLY
8 TWO OF THEM. THE THIRD ONE WE RECEIVED THE
9 APPLICATIONS CURRENTLY. SO WE CAN COME BACK WITH
10 THAT DATA. AND IT'S FAIRLY NEW, SO WE CAN REPORT ON
11 HOW FAR WE'VE GOT WITH THOSE. AND IT IS A BIT
12 INTERESTING. I'D SAY ABOUT THAT IT'S BEEN OPEN TO
13 THE INDUSTRY EVERY SIX MONTHS, AND THEY'VE GOT A
14 BIG, REASONABLY BIG RESPONSE THIS TIME AND NOT MUCH
15 LAST TIME. AND IT COMES A BIT IN WAVES, SO WE MAY
16 HAVE TO LOOK OVER A LONGER TERM INTO THE WHOLE
17 PROGRAM, BUT WE'RE HAPPY TO DO THAT NOW, GIVE YOU
18 SOME INFORMATION THAT YOU CAN UTILIZE. NO PROBLEM.
19 WE AGREE TO DO THAT.

20 DR. JUELSGAARD: ALAN, I ACTUALLY ENVISION
21 A LARGER DISCUSSION WHICH WOULD TALK ABOUT WHAT ARE
22 THE POSSIBLE WAYS OF TRYING TO INTERACT WITH
23 INDUSTRY? WHAT EXPERIENCES HAVE PEOPLE ON THE
24 COMMITTEE HAD OR ARE AWARE OF? AND WHAT MIGHT OR
25 MIGHT NOT WORK FOR US? SO IT WOULD BE A

BARRISTERS' REPORTING SERVICE

1 PRESENTATION BY YOU GUYS, FINE, BUT ALSO A GRANDER
2 DISCUSSION ABOUT HOW THINGS WORK OUT THERE.

3 DR. TROUNSON: I THINK THAT'S A GOOD IDEA.
4 CURRENTLY THERE'S A BIT OF A CHANGE IN THE INDUSTRY
5 BECAUSE SOME IPO'S MORE RECENTLY HAVE BEEN VERY
6 SUCCESSFUL. BUT WE'VE HAD SOME INPUT THAT THAT'S
7 PROBABLY NOT LONG LASTING, AND SO I THINK THIS
8 DIALOGUE WOULD BE A GOOD THING BECAUSE WHEN
9 COMPANIES ARE BEING WELL FUNDED, THEY HAVE A LOT
10 LESS INTEREST, AND MAKES IT HARD TO WORK WITH THEM,
11 OF COURSE, IF THEY'VE GOT MONEY FROM OTHER SOURCES.
12 SO WE'D BE HAPPY TO DO THAT ON A BROADER SCALE AS
13 WELL. ENDORSE THAT COMPLETELY.

14 CHAIRMAN THOMAS: SO, MR. SHEEHY, IS THAT
15 OKAY, OR YOU'D STILL LIKE TO HAVE IT IN THE FORM OF
16 A FRIENDLY AMENDMENT?

17 MR. SHEEHY: EITHER WAY. I TRUST MY
18 COLLEAGUES.

19 MS. SAMUELSON: AS ONE ELEMENT I WOULD ASK
20 THAT WE CONSIDER GETTING INFORMATION ABOUT THE -- OR
21 THE CURRENT STATUS OR VIEW OF THE TWO TERMINATED
22 CLINICAL TRIALS BECAUSE THEY ARE OUR MOST
23 SIGNIFICANT ENDEAVORS. AND I DON'T THINK WE KNOW
24 MUCH ABOUT WHY THEY DIDN'T SUCCEED.

25 CHAIRMAN THOMAS: WELL, I'M NOT SURE

BARRISTERS' REPORTING SERVICE

1 THAT'S CORRECT. I THINK WE KNOW QUITE A BIT ABOUT
2 WHAT HAPPENED ACTUALLY. BUT IN ANY EVENT --

3 MS. SAMUELSON: WE WELL MAY. I DON'T.

4 CHAIRMAN THOMAS: JEFF, IS IT OKAY JUST TO
5 LEAVE IT AS IS? SO WE HAVE A MOTION ON THE TABLE.
6 I THINK WE'VE PROBABLY BEATEN THIS ONE TO THE
7 GROUND. CAN WE DO A VOICE VOTE ON THIS? ALL THOSE
8 IN -- PLEASE RESTATE THE MOTION, MR. HARRISON.

9 MR. HARRISON: THE MOTION IS TO APPROVE
10 THE CONCEPT PROPOSAL FOR A PROGRAM ANNOUNCEMENT FOR
11 A CIRM INDUSTRY CO-FUNDING AGREEMENT.

12 CHAIRMAN THOMAS: DO WE HAVE ANY PUBLIC
13 COMMENT BEFORE WE PROCEED TO A VOTE? HEARING NONE,
14 ALL THOSE IN FAVOR PLEASE SAY AYE. OPPOSED? ON THE
15 PHONE.

16 MR. GOLDBERG: AYE.

17 CHAIRMAN THOMAS: THANK YOU. MOTION
18 CARRIES. OKAY.

19 IN THE FINEST TRADITION OF HAVING TO HAVE
20 AT LEAST ONE ITEM ON THE AGENDA OUT OF ORDER, WE'RE
21 GOING TO PROCEED TO THE COMMUNICATIONS UPDATE
22 BECAUSE WE WANT TO GET TO KEVIN AND AMY DUE TO A
23 LOGISTICAL ISSUE.

24 MR. MC CORMACK: CHAIRMAN THOMAS, MEMBERS
25 OF THE BOARD, I'M GOING TO BE AD LIBBING WHILE AMY

BARRISTERS' REPORTING SERVICE

1 IS FIXING THE TECHNICAL PROBLEM.

2 I WANTED TO BEGIN BY THANKING MY COLLEAGUE
3 TODD DUBNIKOFF, WHO EDITED THE VIDEO, SHOT AND
4 EDITED THE VIDEO THAT YOU SAW EARLIER TODAY, THE
5 TRIBUTE TO DUANE. TODD PUT IN AN AWFUL AMOUNT OF
6 WORK IN A VERY SHORT PERIOD OF TIME. HE SHOT SEVEN
7 INTERVIEWS OVER THREE DAYS, INCLUDING TWO TRIPS TO
8 SAN DIEGO AND A LONG DRIVE OUT TO PLEASANTON THAT
9 SEEMED ALMOST AS FAR AWAY AS SAN DIEGO AT THE TIME,
10 AND THEN HE ENDURED TWO DAYS WITH 102 DEGREE FEVER
11 WHILE HE EDITED THE WHOLE PIECE TOGETHER. I THINK
12 HE DID A REMARKABLE JOB, AND I JUST WANTED TO
13 CONGRATULATE HIM AND TO THANK HIM FOR PERSEVERING
14 WHAT I KNOW WAS KIND OF A DIFFICULT TIME FOR HIM.

15 TWO OTHER THINGS I WANTED TO MENTION,
16 UPCOMING EVENTS. ONE IS PATIENT ADVOCATE MEETING.
17 WE HAVE A PATIENT ADVOCATE MEETING IN LOS ANGELES ON
18 SEPTEMBER 30TH. THIS IS THE SECOND OF OUR SERIES OF
19 PATIENT ADVOCATE MEETINGS, AND THE FOLKS AT USC ARE
20 GOING TO BE HOSTING US. SO WE'RE LOOKING FORWARD TO
21 A GOOD MEETING THERE.

22 WE'RE ALSO HOLDING AN HIV COMMUNITY FORUM
23 ON THE CONCEPT OF CURE. I'M WORKING WITH MR. SHEEHY
24 ON ORGANIZING THAT. THAT'S GOING TO FEATURE PEOPLE
25 FROM THE STEM CELL AGENCY, FROM CAL-IMMUNE, SAN

BARRISTERS' REPORTING SERVICE

1 FRANCISCO GENERAL, UCSF, AND GLADSTONE INSTITUTE,
2 AND A NUMBER OF OTHER RESEARCHERS AND COMMUNITY
3 ACTIVISTS.

4 AND THE IDEA IS TO UPDATE THE COMMUNITY ON
5 WHAT'S HAPPENING IN THE SITUATION WITH A CURE FOR
6 HIV RATHER THAN JUST TALKING ABOUT TREATMENTS. IT'S
7 A PRETTY EXCITING AREA, AND THERE'S A LOT TO TALK
8 ABOUT. AND THE GOAL IS TO KIND OF CREATE A
9 CONVERSATION, A DIALOGUE, THAT SHOWS EXACTLY WHERE
10 WE'RE GOING, THE PROGRESS THAT'S BEING MADE, BUT
11 ALSO THE CENTRAL ROLE THAT WE'RE PLAYING IN IT. SO
12 I'LL BRING YOU AN UPDATE ON BOTH OF THOSE MEETINGS
13 AT OUR BOARD MEETING IN OCTOBER.

14 ONE OF THE BIG THINGS WE'VE BEEN DOING
15 OVER THE LAST FEW WEEKS WAS WITH THE CREATIVITY
16 STUDENTS. ONE OF THE ELEMENTS WE ADDED TO THIS
17 YEAR'S CURRICULUM WAS A SOCIAL MEDIA THING. WE
18 ASKED ALL THE STUDENTS TO PROVIDE BLOGS,
19 PHOTOGRAPHS, VIDEOS TO DOCUMENT THEIR EXPERIENCES IN
20 WORKING WITH SOME OF THE BEST STEM CELL RESEARCHERS
21 IN THE COUNTRY. AND WE GOT A HUGE RESPONSE. IT WAS
22 REALLY GOOD. IT WAS FUN TO SEE THEM AND VERY
23 GRATIFYING, BUT IT ALSO FED INTO ONE OF OUR OTHER
24 GOALS, WHICH IS THE IDEA OF REACHING OUT TO NEW
25 AUDIENCES AND TRYING TO USE SOCIAL MEDIA IN NEW WAYS

BARRISTERS' REPORTING SERVICE

1 TO CREATE NEW AUDIENCES. AND MY COLLEAGUE AMY
2 ADAMS, WHO HAD A BIG ROLE ALSO IN THE VIDEO THAT YOU
3 SAW EARLIER, IS GOING TO TALK MORE ABOUT THAT NOW.
4 THANK YOU.

5 MS. ADAMS: HI, MEMBERS OF THE BOARD,
6 MEMBERS OF THE PUBLIC. THE LAST TIME I SPOKE TO YOU
7 I WAS LETTING YOU KNOW THAT WE WERE LAUNCHING A NEW
8 WEB SITE. THE WEB SITE HAS NOW BEEN LAUNCHED, AND I
9 WANT TO TELL YOU A LITTLE BIT ABOUT SOME OF THE
10 SUCCESS WE'VE HAD WITH THAT WEB SITE.

11 OVER THE PAST YEAR, SO LOOKING AT TRAFFIC
12 TO THE WEB SITE THIS YEAR VERSUS LAST YEAR, TRAFFIC
13 HAS GONE UP 47 PERCENT. WE'RE VERY HAPPY ABOUT
14 THAT. TRAFFIC DUE TO SEARCH, SO PEOPLE SEARCHING
15 FOR STEM CELL-RELATED TOPICS, THAT SEARCH HAS GONE
16 UP BY ABOUT 30 PERCENT. AND THAT IS DUE TO SOME
17 CHANGES THAT WE'VE MADE IN THE NEW WEB SITE. SO IT
18 WAS ONE OF THE FEATURES OF THE NEW WEB SITE WE WERE
19 LOOKING FORWARD TO IS THAT IT WOULD SEARCH BETTER.

20 AND THEN DIRECT TRAFFIC INCREASED 77
21 PERCENT. DIRECT TRAFFIC, WHAT THIS MEANS IS WE
22 DON'T KNOW WHERE IT CAME FROM. SO IT'S PEOPLE WHO
23 SENT A LINK TO SOMEONE ELSE VIA E-MAIL OR VIA TEXT
24 MESSAGE, SOMEONE CLICKED ON THAT LINK AND GOT TO OUR
25 WEB SITE. SO THE TAKE-AWAY HERE IS PEOPLE ARE

BARRISTERS' REPORTING SERVICE

1 TALKING ABOUT US BECAUSE THEY ARE SENDING LINKS TO
2 EACH OTHER, AND THEN PEOPLE ARE CLICKING ON THOSE
3 LINKS. SO WE ALSO CONSIDER THAT TO BE GOOD NEWS.

4 AS KEVIN WAS SAYING, WE HAVE A VERY ACTIVE
5 SOCIAL MEDIA PROGRAM, AND I WANT TO JUST GIVE YOU A
6 GENERAL SENSE OF THE KINDS OF NUMBERS OF PEOPLE WE
7 REACH THROUGH THESE PROGRAMS. SO I DON'T HAVE A
8 POINTER. THAT'S C. THAT'S OUR ICON THAT REPRESENTS
9 OUR WEB SITE. SO WE GET ABOUT -- IN THE LAST MONTH
10 WE GOT 17,000 UNIQUE VISITORS TO OUR WEB SITE IN THE
11 PAST MONTH. THAT'S LOW. IT'S USUALLY LIKE 20 TO
12 21,000 VISITORS TO OUR WEB SITE. I THINK PEOPLE ARE
13 ON VACATION IN AUGUST. ALL OF OUR NUMBERS I'M
14 SHOWING YOU ARE A LITTLE LOW.

15 THE NEXT ICON IS OUR YOUTUBE VIDEOS, MANY
16 OF WHICH YOU HAVE SEEN AT BOARD MEETINGS. WE HAD
17 MORE THAN 4,000 VIDEO VIEWS IN THE PAST MONTH. AND
18 YOUTUBE TELLS US HOW LONG PEOPLE SPEND WATCHING
19 VIDEOS. SO WE HAD 9.6 DAYS OF VIEW TIME. SO THAT'S
20 LIKE TEN DAYS OUT OF 30 DAYS, ONE OUT OF EVERY THREE
21 MINUTES PEOPLE ARE WATCHING VIDEOS ON OUR WEB SITE,
22 ON YOUTUBE, AND OTHER PLACES.

23 SO THE NEXT ICON REPRESENTS OUR BLOG. THE
24 BLOG HAD MORE THAN 5,000 VIEWS IN THE PAST MONTH.
25 FACEBOOK, WE REACHED MORE THAN 62,000 PEOPLE. WE

BARRISTERS' REPORTING SERVICE

1 HAVE E-MAIL LISTS. THE PRESS RELEASE LIST, LIKE THE
2 ONE WE JUST USED TODAY TO SEND THE PRESS RELEASE.
3 WE HAVE A MONTHLY NEWSLETTER, A VARIETY OF OTHER
4 THINGS. 17,000 RECIPIENTS IN THE PAST MONTH.
5 TWITTER WE REACHED 260,000 PEOPLE. AND THEN OUR
6 LINKED-IN SITE HAS CLOSE TO 2,000 MEMBERS.

7 SO THESE ARE PRETTY BIG NUMBERS OF PEOPLE
8 WE'RE REACHING WITH OUR CONTENT IN VARIOUS DEGREES
9 OF DEPTH. OBVIOUSLY YOU DON'T GET A LOT OF MEAT OUT
10 OF A TWITTER POST; BUT IF THAT TWITTER POST DRIVES
11 YOU TO OUR BLOG OR TO OUR WEB SITE, WE CAN GIVE
12 PEOPLE SOME PRETTY DETAILED CONTENT ABOUT THE KINDS
13 OF INITIATIVES AND THE KINDS OF PROGRESS WE'RE
14 MAKING.

15 AND THEN THIS BOTTOM ICON, THE TOP NUMBERS
16 ARE JUST WHAT WE ARE DOING; BUT BECAUSE WE WORK VERY
17 CLOSELY WITH THE COMMUNICATIONS PEOPLE AT PATIENT
18 ADVOCACY GROUPS, AT THE GRANTEE INSTITUTIONS, THEIR
19 BLOG ENTRIES, THEIR FACEBOOK ENTRIES, THEIR TWEETS,
20 AND ALL THAT STUFF, I CAN TRACK THAT. IF THEY
21 MENTION CIRM, I CAN TRACK IT. SO WE HAD CLOSE TO
22 500,000 PEOPLE REACHED THROUGH OTHER PEOPLE TALKING
23 ABOUT US ON SOCIAL MEDIA. AND OBVIOUSLY THESE ARE
24 NOT ALL UNIQUE PEOPLE, BUT SOME OF THEM ARE UNIQUE
25 PEOPLE.

BARRISTERS' REPORTING SERVICE

1 OKAY. THIS IS MEANT TO BE CONFUSING.
2 BASICALLY JUST TO SHOW YOU THAT THERE IS QUITE AN
3 INTERACTION BETWEEN THE DIFFERENT TOOLS. SO IF
4 SOMEONE COMES TO OUR WEB SITE, WE CAN DRIVE THEM TO
5 OUR SOCIAL MEDIA. WE LIVE IN A DAY AND AGE WHERE
6 PEOPLE ARE NOT JUST SEARCHING THE WEB FOR
7 INFORMATION. THEY ARE OFTEN RELAXING ON FACEBOOK.
8 AND IF ON FACEBOOK ONE OF THEIR FRIENDS POSTS
9 SOMETHING ABOUT CIRM, THEY CAN LEARN ABOUT US. THAT
10 MIGHT DRIVE THEM TO GO TO OUR WEB SITE OR GO TO THE
11 BLOG. SO WE'RE TRYING TO TRAP VIA WHATEVER IT IS
12 THAT THEY ARE LOOKING AT, TWITTER, FACEBOOK, BLOG,
13 AND DRIVE THEM TO MORE CONTENT ABOUT CIRM.

14 AND FINALLY, I WANTED TO SORT OF SHOW YOU
15 HOW THE DIFFERENT SOCIAL MEDIA EFFORTS WORK
16 TOGETHER. WE'RE NOT JUST POSTING ONE THING ON THE
17 BLOG AND SOMETHING ELSE ON TWITTER. WE TRY TO GET
18 SOME SYNERGY OUT OF THE DIFFERENT TOOLS. AND A
19 GREAT EXAMPLE OF THIS IS KEVIN JUST MENTIONED THAT
20 WE HAD A SOCIAL MEDIA PROGRAM WITH THE CREATIVITY
21 STUDENTS. SO THEY SENT US VIDEOS, THEY SENT US
22 IMAGES, THEY SENT IS BLOG ENTRIES. WE POST THE
23 VIDEOS ON YOUTUBE AND THE WEB SITE. WE POST
24 INSTAGRAM PHOTOS ON THE WEB SITE, WE TWEET, AND WE
25 FACEBOOK ABOUT ALL THE IMAGES IN THE VIDEOS. WE

BARRISTERS' REPORTING SERVICE

1 POSTED THE BLOGS. THE BLOGS ARE FABULOUS. IF
2 PEOPLE -- I THINK SOME OF YOU HAVE SEEN SOME OF
3 THESE BLOG ENTRIES, AND YOU GET A REAL INSIGHT INTO
4 WHAT THE KIDS LEARNED OVER THE SUMMER. IT'S PRETTY
5 FUN READING.

6 ALL THIS TOGETHER HAS ADDED UP TO, WITH
7 THE CREATIVITY AWARDS, MORE THAN 150,000 INDIVIDUAL
8 TIMES WHEN PEOPLE HAVE SEEN CONTENT ABOUT OUR
9 CREATIVITY STUDENTS THROUGH ONE OF THESE MECHANISMS.

10 AND THEN IN THE PAST WE'VE TALKED TO YOU
11 ABOUT THE ELEVATOR PITCH CHALLENGE. AND THAT
12 PROGRAM REACHED MORE THAN 250,000 PEOPLE. AND THAT
13 WAS BOTH THROUGH OUR WORK, BUT ALSO BECAUSE WE
14 WORKED WITH OUR GRANTEE INSTITUTIONS. THEY WERE
15 BLOGGING AND TWEETING, FACEBOOKING, AND ALL THAT.
16 SO THOSE 150,000 AND 250,000 NUMBERS ALSO ENCOMPASS
17 THE EFFORTS OF OUR GRANTEES AND OTHER PEOPLE.

18 SO THAT'S THE UPDATE I WANTED TO GIVE YOU.
19 ARE THERE ANY QUESTIONS? EXCELLENT.

20 CHAIRMAN THOMAS: THANK YOU, KEVIN, AMY,
21 AND TODD, AND DON AS WELL, FOR ALL YOUR HARD WORK ON
22 THE COMMUNICATIONS.

23 I SHOULD NOTE JUST A SIDEBAR COMMENT ON
24 THE CREATIVITY AWARDS. FOR THOSE THAT WERE ABLE TO
25 GO, IT WAS A TYPICALLY FANTASTIC EVENT WHERE THESE

BARRISTERS' REPORTING SERVICE

1 HIGH SCHOOL KIDS ARE PRESENTING ON WORK THAT THEY'VE
2 DONE THROUGH CIRM'S ABILITY TO FUND THEIR RESEARCH.
3 AND WHILE THE PRESENTATIONS TENDED TO BE MIXED IN
4 TERMS OF THE KIDS THEMSELVES, AT A MINIMUM THEY WERE
5 ALL REMARKABLE FOR THEIR HIGH DEGREE OF ENTHUSIASM
6 AND THE SCOPE OF WORK. AT THE TOP, WHEN YOU HIT
7 SOME OF THESE KIDS, YOU COULDN'T BELIEVE YOU WERE
8 HEARING FROM SOMEBODY WHO IS ONLY INVOLVED FOR EIGHT
9 WEEKS OR SO. AND THEY CAME TO HAVE SUCH AN
10 INCREDIBLE GRASP IN SUCH A SHORT PERIOD OF TIME I
11 THINK IS A TESTIMONY TO THE PROGRAM.

12 SO I RECOMMEND THESE EVENTS TO ANY OF YOU
13 WHO'VE NOT SEEN THEM. THEY'RE GREAT. ONE SUCH
14 EVENT AS WELL WAS AT USC WHICH SENATOR TORRES,
15 MARIA, AND I AND KEVIN ATTENDED WHERE THEY TALKED TO
16 A NUMBER OF THE KIDS WHO WERE INVOLVED THERE FROM
17 VARIOUS HIGH SCHOOLS IN LOS ANGELES AND INCLUDING A
18 MOST FASCINATING PANEL DISCUSSION WHERE THESE KIDS
19 WERE ASKED QUESTIONS ABOUT THEIR THOUGHTS ON THE
20 PROGRAM AND GAVE WONDERFULLY CANDID COMMENTARY AND
21 HIGHLY INFORMED, EVEN THOUGH AT THAT POINT THOSE
22 KIDS HAD ONLY BEEN IN THE PROGRAM FOR TWO WEEKS. SO
23 IT SORT OF GOES TO SHOW THAT WE'VE GOT A TERRIFIC
24 PIPELINE IN THE MAKING THROUGH THESE KIDS AND OTHERS
25 THAT HAVE GONE THROUGH THE PROGRAM.

BARRISTERS' REPORTING SERVICE

1 MR. HARRISON NOTED THAT I'D BE REMISS IN
2 SAYING THAT WE TOOK NO ACTION IN OUR CLOSED SESSION
3 TODAY JUST FOR THE RECORD.

4 WE'LL PROCEED NOW TO THE ALWAYS MOST
5 CONTROVERSIAL TOPIC, WHICH HERE IS ITEM 13, APPROVAL
6 OF LAST WEEK'S BOARD MINUTES. DO I HEAR A MOTION.
7 SO CONTROVERSIAL, NOBODY EVEN MOVES.

8 MR. HARRISON: WE'VE LOST OUR QUORUM.

9 CHAIRMAN THOMAS: OKAY. NEXT TIME.
10 FORTUNATELY WE HAVE NO REAL ITEMS TO APPROVE HERE
11 LEFT. LAST THING ON THE AGENDA BEFORE WE GET TO THE
12 ULTIMATE, WHICH WOULD BE THE PRESIDENT'S REPORT,
13 WOULD BE A REPORT ON THE CONTRACTS. MR. STEIN.

14 DR. STEIN: GOOD AFTERNOON. EACH YEAR THE
15 CONTRACTING POLICY CALLS ON STAFF TO MAKE A REPORT
16 TO THE BOARD LISTING ALL OF CIRM'S CONTRACTS FOR
17 MORE THAN \$20,000. AND THE REPORTING PERIOD FOR
18 THIS UPDATE IS FISCAL YEAR '12-'13. SO THAT'S JULY
19 2012 THROUGH JUNE 30, 2013. AND THE REPORT IS IN
20 YOUR MATERIALS. AND STAFF IS HERE TO ANSWER ANY
21 QUESTIONS YOU MAY HAVE. IF THERE ARE NO QUESTIONS,
22 THAT'S THE UPDATE.

23 CHAIRMAN THOMAS: MR. JUELSGAARD.

24 DR. JUELSGAARD: CAN'T GET AWAY WITHOUT
25 BEING ASKED A QUESTION. LET ME ASK YOU JUST IN

BARRISTERS' REPORTING SERVICE

1 DETERMINING -- YOU HAVE A COLUMN, THE SECOND COLUMN
2 OVER BEYOND PURPOSE, AMOUNT AVAILABLE FOR FY
3 '12-'13. SO WHEN IS THAT AMOUNT ESTABLISHED? IS
4 THAT ESTABLISHED AT THE TIME THE BUDGET IS PREPARED?
5 THE AMOUNT THAT'S AVAILABLE, YOU LINE ITEM BUDGET
6 LIKE THIS OR WHAT?

7 DR. STEIN: THAT'S MY UNDERSTANDING OF THE
8 PROCESS. YES, WHEN THE BUDGET IS ESTABLISHED. ANY
9 OTHER QUESTIONS?

10 CHAIRMAN THOMAS: VERY EFFICIENTLY DONE,
11 MR. STEIN. CONGRATULATIONS. OKAY.

12 SO I THINK NOW WE ARE TO DR. TROUNSON ON
13 THE ASSUMPTION THAT HIS TOOTH WILL PERMIT HIM TO
14 SPEAK, HAVING HAD A BIT OF A DENTAL EMERGENCY
15 MIDMEETING.

16 DR. TROUNSON: THANK YOU, CHAIR. I'M
17 SORRY ABOUT THAT. IN THE MIDDLE OF THE MEETING, I
18 HAD TO GO AND GET SOME SURGERY BECAUSE I'M LEAVING
19 TONIGHT FOR MOROCCO, SO YOU SHOULDN'T FEEL BADLY FOR
20 ME, BUT I HAVEN'T SEEN MY WIFE FOR SEVEN MONTHS. SO
21 I DIDN'T WANT A TOOTHACHE IN THE DESERT. SO I WENT
22 TO VISIT THE DENTIST AND A VERY GOOD DENTIST IN SAN
23 DIEGO. THANK YOU VERY MUCH, DENTIST.

24 SO THE PRESIDENT'S REPORT IS A LITTLE
25 LATE, BUT THAT'S THE WAY IT IS. I SET THIS UP

BARRISTERS' REPORTING SERVICE

1 BEFORE WE HAD THE PRESENTATION THIS MORNING. AND I,
2 LIKE ALL OF YOU, I THINK I JUST MISS MY FRIEND. AND
3 I HAD A COUPLE OF SLIDES IN HERE BECAUSE I THOUGHT
4 STARTING THE PRESIDENT'S REPORT WITH SOMETHING TO DO
5 WITH DUANE ON THIS OCCASION WOULD REALLY BE
6 INAPPROPRIATE. AND HE REALLY DID HAVE AN INCREDIBLE
7 IMPACT, AND I HAD A LOT OF SLIDES WHICH I LEFT AWAY,
8 BUT I JUST LEFT A FEW REALLY IMPORTANT ONES BECAUSE
9 CONNECT HAS REALLY BEEN A VERY IMPORTANT
10 ORGANIZATION. AND IT'S HELPED MORE THAN 2,000
11 COMPANIES SINCE 1985 AND IS WIDELY REGARDED AS THE
12 WORLD'S MOST SUCCESSFUL REGIONAL PROGRAM LINKING
13 INVENTORS AND ENTREPRENEURS WITH THE RESOURCES AND
14 NEED FOR COMMERCIALIZATION OF PRODUCTS.

15 I'M NOT SURE THAT EVERYBODY KNOWS THAT. I
16 WASN'T AWARE OF HOW MUCH THEY'D REALLY DONE. SO
17 THIS PROGRAM HAS BEEN MODELED IN ALMOST 40 REGIONS
18 IN THE WORLD. SO THIS IS A REALLY IMPORTANT PROGRAM
19 THAT DUANE WAS RUNNING. AND THE KEY TO THEIR
20 SUCCESS HAS BEEN THE UNIQUE CONVEYER BETWEEN THE
21 INDUSTRY, CAPITAL SOURCES, PROFESSIONAL SERVICE
22 PROVIDERS, AND RESEARCH ORGANIZATIONS, WHICH IS
23 RIGHT IN OUR SWEET SPOT WHERE WE'RE TRYING TO
24 OPERATE. SO THAT'S WHY IT WAS -- HE WAS REALLY SO
25 IMPORTANT FOR US AND ALSO FOR THE REGION.

BARRISTERS' REPORTING SERVICE

1 AND I THINK THIS SAN DIEGO IS A VERY
2 SUCCESSFUL LIFE SCIENCE CLUSTER BECAUSE OF CONNECT
3 AND BECAUSE OF THE WAY THAT HE WAS ABLE TO MAKE
4 THESE THINGS HAPPEN HERE IN A REALLY, I THINK,
5 REALLY EXTRAORDINARY WAY. AND IF YOU LOOK THROUGH
6 THE CLUSTER REPORT ON SAN DIEGO, THE INFORMAL
7 CONTACT AND PARTICIPATION OF PROFESSIONAL NETWORKS
8 ARE VERY, VERY HIGH HERE. AND THEY'RE THE LEADING
9 TYPES OF COLLABORATIONS IN THE CLUSTER FOLLOWED BY
10 COOPERATION IN EDUCATION, CONTRACT RESEARCH, AND
11 ADVISORY. THESE ARE THE SORT OF THINGS THAT THESE
12 PEOPLE ACTUALLY WORK TOGETHER. AND YOU SEE IT MORE
13 HERE IN SAN DIEGO THAN I THINK I'VE EVER SEEN REALLY
14 ANYWHERE.

15 THE OTHER POPULAR TYPES OF COLLABORATIONS
16 INCLUDE THE MOBILITY OF PEOPLE, PEOPLE MOVING FROM
17 ACADEMIA TO INDUSTRY, INDUSTRY BACK INTO ACADEMIA.
18 THE MOVEMENTS AROUND THERE HAVE BEEN REALLY HIGH AT
19 40 PERCENT, COOPERATION IN R&D 40 PERCENT, AROUND
20 SHARING OF FACILITIES 40 PERCENT, AND PUBLICATIONS
21 ARE OVER 30 PERCENT. SO THESE ARE PEOPLE WORKING
22 TOGETHER MAKING A VERY, VERY SUCCESSFUL CLUSTER.
23 AND HE WAS REALLY QUITE IMPORTANT IN ALL OF THAT,
24 AND CONNECT WAS REALLY THE EMBODIMENT OF HOW THIS
25 ALL SORT OF WORKED AND SO WAS DUANE.

BARRISTERS' REPORTING SERVICE

1 SO THAT'S WHY, FOR MANY REASONS, WE'LL
2 MISS HIM BECAUSE IT'S JUST A NATURAL WAY OF GETTING
3 PEOPLE TO WORK TOGETHER. SINCE I'VE BEEN HERE, MY
4 EFFORTS HAVE REALLY BEEN TRYING TO GET THE BEST
5 PEOPLE WORKING TOGETHER. AND THAT'S WHAT I ALWAYS
6 HAVE BEEN TRYING TO DO, AND I THINK WE'VE BEEN
7 REASONABLY SUCCESSFUL, BUT DUANE WAS ALWAYS SAYING
8 YOU JUST HAVEN'T GOT TO THE TOP OF IT YET. KEEP
9 GOING BECAUSE IT IS IMPORTANT TO LINK THE BEST WITH
10 THE BEST, AND WE'VE GOT THE BEST STEM CELL RESEARCH
11 IN THE WORLD. WE'VE GOT SOME OF THE BEST BIOTECH
12 COMPANIES HERE IN CALIFORNIA. WE'VE GOT A GROWTH
13 INDUSTRY GOING HERE. THINGS ARE LOOKING VERY
14 POSITIVE. KEEP GOING BECAUSE THIS IS GOING TO BE A
15 VERY SUCCESSFUL OUTCOME.

16 AND SO IN ME STILL BEING HERE AT CIRM SAYS
17 A LOT ABOUT WANTING TO DO THE KIND OF THINGS THAT
18 DUANE HAD STRONGLY IN HIS MIND.

19 NOW, I'M GOING TO TELL YOU ABOUT A COUPLE
20 OF RESEARCH REPORTS BECAUSE I THINK THAT'S WHAT YOU
21 REALLY SHOULD ALWAYS GET FROM ME. AND THIS FIRST
22 ONE IS REALLY ABOUT REPOPULATION OF DECELLULARIZED
23 HEART TISSUE WITH HUMAN PLURIPOTENTIAL STEM CELLS.
24 CAN YOU BUILD AN ARTIFICIAL HEART? CAN YOU REALLY
25 DO THAT? I THINK A YEAR OR TWO YEARS AGO WE WOULD

BARRISTERS' REPORTING SERVICE

1 HAVE SAID, WELL, IT'S A GREAT THOUGHT, BUT YOU'D
2 NEVER DO IT. WELL, THIS GROUP HERE AT THE
3 UNIVERSITY OF PITTSBURGH, IN FACT, HAVE BEEN ABLE TO
4 DO IT TO A POINT.

5 SO THEY'VE USED HUMAN IPS CELLS
6 DIFFERENTIATED INTO MULTIPOTENTIAL CARDIAC
7 PROGENITOR CELLS WHICH FORM CARDIOMYOCYTES, THE
8 HEART MUSCLE CELLS, AND SMOOTH MUSCLE, AND
9 ENDOTHELIAL CELL TYPE. SO THEY FORM ALL OF THOSE
10 THREE TYPES. AND THEY POPULATED DECELLULARIZED
11 MOUSE HEARTS. I'LL SHOW YOU HOW THEY DID THAT IN A
12 MOMENT. SO THEY TAKE ALL THE CELLS OUT OF A MOUSE
13 HEART AND THEN THEY REPOPULATE IT WITH THESE HUMAN
14 CELLS AND THEN GET THE THING TO WORK TOGETHER. AND
15 IN THE LABORATORY THEY USED 20 DAYS OF PERFUSION TO
16 SORT OF GET THESE CELLS FUNCTIONAL. THEN THEY
17 LOOKED AT WHETHER THESE CELLS WORKED TOGETHER TO
18 FORM A HEART. AND THEY SHOWED SPONTANEOUS
19 CONTRACTIONS.

20 SO IF YOU GO AND LOOK UP THIS PAPER IN
21 *NATURE COMMUNICATIONS*, YOU WILL SEE THIS HEART, THIS
22 HEART THAT DIDN'T HAVE A SINGLE CELL IN IT BEATING.
23 AND YOU WILL ALSO SEE THAT IT'S GOT A MECHANICAL
24 FORCE. THIS ACTUALLY CAN FORCE -- WILL BE ABLE TO
25 FORCE BLOOD OR COULD FORCE FLUID THROUGH IT, AND

BARRISTERS' REPORTING SERVICE

1 IT'S ALSO RESPONSIVE TO DRUGS.

2 SO WHAT THEY DID WAS TO MAKE IPS CELLS
3 FROM AN ADULT AND DRIVE THOSE CELLS INTO THE
4 MULTIPOTENTIAL CELLS. AND THEN ON THE RIGHT-HAND
5 SIDE YOU CAN SEE THE COMPLETELY CELLULARIZED HEART
6 RIGHT UP ON THE TOP LEFT IN THE PHOTOGRAPHS OF THE
7 HEART THERE ATTACHED UNDER NO. 1 THERE, IF YOU CAN
8 READ THAT. AND AS YOU MOVE THROUGH IT, YOU CAN SEE
9 THAT THE CELLS HAVE BEEN PROGRESSIVELY TAKEN OUT.
10 SO THEY USE A DETERGENT TO REMOVE THE CELLS AND
11 LEAVE THE EXTRACELLULAR MATRIX OF THE HEART INTACT.
12 SO BY THE TIME YOU GET TO SEVEN, YOU CAN SEE THAT
13 THERE'S REALLY NO CELLS IN THAT HEART, AND THE BLUE
14 STAINING JUST LEAVES SOME OF THE MATRIX THERE.

15 YOU LOOK DOWN BELOW THERE'S A MEASUREMENT
16 OF DNA. SO AN INTACT MOUSE HEART HAS A WHOLE RED
17 BAR FULL OF DNA AND THE DECELLULARIZED HEART HAS
18 NONE. SO ALL THE CELLS HAVE GONE. WHAT THEY DO
19 NEXT IS THEN THEY GROW THE IPS CELLS FOR SIX DAYS IN
20 CULTURE, SUBJECT THEM TO EXPOSURE TO DIFFERENT
21 GROWTH FACTORS OVER THAT PERIOD OF TIME, AND THEN AT
22 DAY SIX THEY SEPARATED ALL THE CELLS, AND THEN THEY
23 PUT THOSE CELLS INTO THE SOLUTION WITH THE
24 DECELLULARIZED HEART. AFTER ANOTHER 20 DAYS, THAT'S
25 A TOTAL OF 26 DAYS, THEY FOUND CARDIOMYOCYTES,

BARRISTERS' REPORTING SERVICE

1 SMOOTH MUSCLE, AND ENDOTHELIAL CELLS. YOU CAN SEE
2 THERE'S AN EKG THERE THAT SHOWS YOU THAT THERE'S AN
3 EKG ACTUALLY FUNCTIONING IN THESE CELLS. AND
4 THERE'S INTRACELLULAR CALCIUM TRANSIENTS SHOWN DOWN
5 ON THE BOTTOM THERE.

6 SO HERE IS THE FIRST RUDIMENTARY HEART
7 THAT I'VE SEEN IN THE LABORATORY THAT'S ACTUALLY
8 BEEN DEVELOPED WITH HUMAN IPS OR PLURIPOTENTIAL STEM
9 CELLS. NOW, THE IMPORTANT PART OF THIS IS THAT
10 YOU'RE ABLE TO DO THAT IN A SMALL HEART LIKE A
11 MOUSE, DECELLULARIZED MOUSE, WITH HUMAN CELLS. IT
12 WOULDN'T BE MUCH USE TO THE MOUSE BECAUSE THE HEART
13 RATE IS MUCH HIGHER IN A MOUSE THAN IT IS IN A
14 HUMAN. IT BEATS VERY MUCH FASTER. NEVERTHELESS,
15 THIS IS THE BEGINNINGS TOWARDS MAKING A HEART.

16 AND I WILL COME BACK TO YOU AT SOME OTHER
17 TIME BECAUSE WE'VE ENGAGED THE WHITE HOUSE IN THIS
18 AREA OF LOOKING TO A GRAND CHALLENGE FOR WHICH I AND
19 MY COLLEAGUES HERE AT CIRM HAVE PUT A PROPOSAL TO
20 THE WHITE HOUSE TO RUN A GRAND CHALLENGE ON CURE OF
21 HEART DISEASE. SO WE'LL COME BACK TO YOU. THE
22 WHITE HOUSE, ALL I CAN SAY AT THE, MOMENT IS VERY
23 INTERESTED, AND WE'LL SEE IF WE CAN GET THEM TO
24 ENGAGE WITH US AND WITH OTHER PEOPLE TO DO, I THINK,
25 A SPECTACULAR PROJECT. SO THIS IS IN EARLY DAYS,

BARRISTERS' REPORTING SERVICE

1 BUT HERE IS GOOD SORT OF INDICATION THAT YOU CAN DO
2 SOME OF THAT KIND OF THING.

3 THE ONLY OTHER PUBLICATION I WANT TO DRAW
4 YOUR ATTENTION TO WAS WORK FROM MICHELLE SADELAIN'S
5 LAB AT THE SLOAN KETTERING IN NEW YORK WHERE THEY
6 HAVE USED COMBINED INDUCED PLURIPOTENTIAL STEM CELL
7 TECHNOLOGY AND COMBINED WITH THE CAR TECHNOLOGY
8 CALLED THE CHIMERIC ANTIGEN RECEPTOR. THIS IS
9 SOMETHING I THINK JEFF WOULD KNOW ABOUT, DOES KNOW
10 ABOUT. I KNOW THAT.

11 SO THEY'VE GENERATED HUMAN T-CELLS
12 TARGETED TO CD 19. IT'S AN ANTIGEN EXPRESSED BY
13 MALIGNANT B CELLS IN TISSUE CULTURE. THESE IPS
14 CELL-DERIVED CAR EXPRESSING T-CELLS, THEY DISPLAY A
15 PHENOTYPE RESEMBLING THAT OF INNATE GAMMA DELTA
16 T-CELLS. THESE ARE VERY SIMILAR TO CAR-TRANSDUCED
17 PERIPHERAL BLOOD GAMMA DELTA T-CELLS. AND THESE
18 IPS-DERIVED T-CELLS POTENTLY INHIBIT TUMOR GROWTH IN
19 A XENOGRAPH MODEL. SO THEY'RE VERY, VERY EFFECTIVE.

20 SO THIS IS THE FIRST ONE THAT I'VE REALLY
21 SEEN WHERE THEY'VE GENERATED THESE TUMOR TARGETING
22 LYMPHOCYTES THAT GO AFTER A CANCER IN A VERY, VERY
23 EFFECTIVE WAY. AND YOU CAN DEVELOP THOSE USING AN
24 IPS CELL-TYPE STRATEGY, WHICH WOULD MEAN THAT THE
25 T-CELLS THAT ARE FORMED SHOULD NOT BE REJECTED.

BARRISTERS' REPORTING SERVICE

1 THESE ARE AN IMMUNE CELL, AND YOU CAN GIVE THEM THIS
2 DOUBLE BAIT, IF YOU LIKE, OF A T-CELL RECEPTOR AND
3 THE CAR, AND THEY'LL GO AFTER, VERY VICIOUSLY GO
4 AFTER THOSE CANCER CELLS EXPRESSING THAT.

5 WE'VE ALREADY GOT SEVERAL PROJECTS IN THE
6 PORTFOLIO THAT ARE USING THIS KIND OF APPROACH, BUT
7 HAVE NOT DEVELOPED THEM FROM IPS CELLS. I THINK
8 IT'S A VERY, VERY NICE PIECE OF WORK. I LIKE THE
9 CAR APPROACH. I THINK IT'S GOING TO BE VERY
10 EFFECTIVE. I THINK THE PEOPLE AT UCLA AND THE
11 OTHERS WHO WE ARE SUPPORTING WILL REALLY DEMONSTRATE
12 THIS RATHER NICELY, I THINK. AND I SEE THIS AS A
13 REALLY BIG STEP FORWARD IN AN ATTACK ON CANCERS. SO
14 I THOUGHT IT WAS A VERY NICE PIECE OF WORK.

15 SO NOW GOING THROUGH THE CURRENT RFA
16 PROGRAM, AS I USUALLY DO FOR YOU, WE'VE DONE THE
17 TRANSLATION MEETING. THE DISEASE TEAM III, WHICH IS
18 THE BIG PROGRAM, THAT'S IN SEPTEMBER. THE GRANTS
19 REVIEW WILL BE IN SEPTEMBER. SO WE'RE ALL LOOKING
20 FOR THAT. THAT'S THE THIRD DISEASE TEAM PROGRAM
21 WE'VE GOT. IT ALWAYS INDUCES LOTS OF ENERGY AND
22 LOTS OF SECRETIONS, THIS WORK, I TELL YOU. AND SO
23 ONE WAY OR ANOTHER WE'LL GET EXCITED. THAT'S FOR
24 CERTAIN.

25 BASIC BIOLOGY V, SO THOSE REVIEW

BARRISTERS' REPORTING SERVICE

1 APPLICATIONS ARE IN OCTOBER. AND GENOMICS, WE'RE
2 COMING BACK WITH GENOMICS, A REVIEW OF THE
3 APPLICATIONS IN NOVEMBER. AND I'M FEELING VERY
4 CONFIDENT ABOUT THAT REVIEW NOW. SO WE'VE GOT A
5 TERRIFIC TEAM. IT'S SOME OF THE ORIGINAL PEOPLE,
6 BUT WE'VE GOT A GREAT GROUP OF GENOMICS PEOPLE
7 COMING. AND I THINK WE'VE GOT ENHANCED APPLICATIONS
8 THAT WILL GIVE US WHAT WE REALLY WANT NOW.

9 STRATEGIC PARTNERSHIP III, THAT WILL BE
10 POSTED -- THAT HAS BEEN POSTED IN JULY. ALREADY
11 BEEN POSTED.

12 RESEARCH LEADERSHIP EXTENSIONS, THE
13 AMENDED RFA WILL POST SOMETIME IN AUGUST. I DON'T
14 THINK IT'S BEEN POSTED YET.

15 TOOLS AND TECHNOLOGIES III, POSTING IN
16 SEPTEMBER.

17 AND OUR ALPHA CLINICS WE EXPECT TO POST IN
18 OCTOBER, NOVEMBER. SO THERE'S PLENTY OF WORK
19 TOWARDS THE END OF THIS YEAR FOR US ALL, PLENTY OF
20 GRANTS REVIEWS AND PLENTY OF WORK FOR THE BOARD
21 COMING UP.

22 WE HAD THE SCIENTIFIC ADVISORY BOARD
23 MEETING. YOU REMEMBER THE INSTITUTE OF MEDICINE
24 RECOMMENDED THAT WE HAVE A SCIENTIFIC ADVISORY
25 BOARD. SO THE ADVISORY BOARD, SEVEN OF THE EIGHT

BARRISTERS' REPORTING SERVICE

1 ADVISORY BOARD MEMBERS ATTENDED IN SAN FRANCISCO AT
2 CIRM. SIR JOHN BELL FROM OXFORD WAS THE CHAIR.
3 CHRISTINE MUMMERY, SEAN MORRISON, STU ORKIN, FIONA
4 WATT, JOHN WAGNER, AND COREY GOODMAN WERE THERE.
5 THESE ARE A FEROCIOUS GROUP OF PEOPLE, I TELL YOU.
6 THEY ARE VERY, VERY INTERESTED AND VERY STRONG
7 VIEWS, OF WHICH THEY GAVE ME AN INSIGHT INTO WHAT
8 THEIR REPORT WOULD BE.

9 I THINK IT'S VERY INTERESTING. THEY'LL
10 COME BACK IN OCTOBER. I MIGHT BE HERE, BUT ELLEN
11 WILL BRING IT FORWARD FOR ME BECAUSE I'LL BE IN
12 EUROPE. I'M AT A NATURE MEDICINE MEETING LOOKING AT
13 REGENERATIVE MEDICINE WORLDWIDE, AND I THOUGHT I
14 NEEDED TO BE THERE. BUT THE SAB WILL BE PRESENTED
15 TO YOU WITH OUR COMMENTS, MANAGEMENT'S COMMENTS, IN
16 OCTOBER. AND I THINK YOU ARE GOING TO BE VERY
17 INTERESTED IN THAT. I THINK YOU REALLY WILL.

18 SO THEY EXAMINED THE PROGRESS, POSITION,
19 AND FUTURE PROSPECTS OF CIRM WITH THE FUNDING THAT'S
20 AVAILABLE, AND THEY ARE PROVIDING RECOMMENDATIONS ON
21 THE FUTURE FOCUS OF CIRM TO MAXIMIZE THE BENEFITS
22 AND RECOGNITION OF CIRM. SO IN A DOUBLE ANGLE FOR
23 US. AND EXPECT THOSE RECOMMENDATIONS AND
24 MANAGEMENT'S COMMENTS IN THE OCTOBER MEETING.

25 UPCOMING MEETING, THERE'S A CIRM

BARRISTERS' REPORTING SERVICE

1 SYMPOSIUM: BREAKING THE BOTTLENECK. YOU MAY NOT
2 KNOW, BUT WE'RE HAVING SOME PROBLEMS IN GETTING
3 HEMATOPOIETIC STEM CELLS, THE BLOOD CELL THAT GOES
4 TO FORM ALL THE BLOOD IN THE BODY, WE ACTUALLY CAN'T
5 GET THAT CELL TO COME FROM AN EMBRYONIC OR AN
6 INDUCED PLURIPOTENTIAL CELL THROUGH TO A
7 HEMATOPOIETIC STEM CELL THAT CAN ENGRAFT IN THE BONE
8 MARROW. WE CAN MAKE WHAT APPEARS TO BE YOKE-TYPE
9 HEMATOPOIETIC STEM CELLS. SO WE'RE ASKING AT THIS
10 WORKSHOP TO SEE WHAT THE BOTTLENECK IS. I HAVE A
11 FEW VIEWS ON IT, AND I WON'T ACTUALLY BE AT THE
12 WORKSHOP, BUT I'VE TRANSMITTED IT TO THE MEMBERS.
13 AND WE'LL GIVE YOU A READOUT OF WHAT THE EXPERTS
14 THINK ON WHAT WE SHOULD DO TO GET THAT DONE. THIS
15 IS HANDICAPPING US IN GETTING SOME WORK DONE WITH
16 THE BLOOD DISEASES AND CANCERS NOT BEING ABLE TO DO
17 THAT.

18 THERE'S A WORKSHOP ON OPTIMIZING
19 EXPECTATIONS, SUCCESS, AND FIRST-IN-HUMAN TRIAL WITH
20 STEM CELL THERAPIES IN SEPTEMBER. AND CIRM IS ON
21 THE PROGRAM COMMITTEE. SO ELLEN WILL TELL YOU
22 SOMETHING ABOUT THAT IN DUE COURSE. I THINK IT'S
23 ELLEN AND ELONA AT THAT, IS IT?

24 THERE'S A CIRM-SPONSORED INTERNATIONAL
25 WORKSHOP ON REGULATORY PATHWAYS. STEM CELL-BASED

BARRISTERS' REPORTING SERVICE

1 THERAPIES ALSO IN SEPTEMBER AT BETHESDA AT THE NIH.
2 STEM CELL AWARENESS DAY IS ON OCTOBER 2D WHERE WE
3 TRY AND MAKE PEOPLE AWARE OF STEM CELL AND STEM CELL
4 RESEARCH. IT'S A VERY GOOD PROGRAM, AND IT INVOLVES
5 A LOT OF US AND THE SCIENTISTS AROUND CALIFORNIA,
6 INDEED AROUND THE WORLD, TALKING TO STUDENTS,
7 TALKING TO PEOPLE WHO ARE INTERESTED, GOING TO
8 SCHOOLS, GOING TO PLACES TO INFORM.

9 CIRM-SPONSORED WEBINAR ON PARKINSON'S
10 DISEASE: MOVING STEM CELL-BASED THERAPIES TO THE
11 CLINIC IS ON NOVEMBER 14.

12 THE CIRM WORKS WITH THE FDA ON REGULATORY
13 PATHWAYS FOR CELL THERAPY. AND AS I'VE STEPPED BACK
14 OUT OF THAT, ELLEN HAS STEPPED FORWARD. SO THERE'S
15 A CIRM WEBINAR ON MOVING CELL-BASED THERAPIES TO THE
16 CLINIC FOR PARKINSON'S DISEASE. SO THAT'S, AS I
17 JUST SAID, NOVEMBER 14. THERE'S ALSO THE REGULATORY
18 PATHWAYS ON SEPTEMBER 17TH. I ALREADY SAID THAT AS
19 WELL.

20 MEETINGS THAT HAVE BEEN HELD. J.T. JUST
21 SPOKE TO YOU BEFORE THIS ON THE CREATIVITY POSTER
22 DAY. A FEW YEARS AGO WE SET UP THE CREATIVITY
23 AWARDS FOR STUDENTS, HIGH SCHOOL STUDENTS, TO EXPOSE
24 THEM TO STEM CELLS AND SOMETHING ELSE. CREATIVITY
25 COMES FROM LOOKING AT SEVERAL THINGS USUALLY AT

BARRISTERS' REPORTING SERVICE

1 ONCE. SO IT'S THE INTERSECTION OF DISCIPLINES THAT
2 CREATES A LOT OF THE CREATIVITY. AND THIS PROGRAM
3 HAS BEEN, I THINK, FANTASTICALLY SUCCESSFUL. AND I
4 THINK EVERYBODY WHO'S EXPOSED TO THOSE YOUNG PEOPLE
5 ARE INCREDIBLY IMPRESSED. AND THEY GIVE UP THEIR
6 SUMMERS TO BECOME INTERNS IN THE PROGRAMS. AND
7 THEY'RE NOT JUST WASHING UP AND HOLDING ONTO THINGS.
8 THEY'RE ACTUALLY RIGHT IN THERE DOING THE WORK.
9 WHEN YOU GET TO MEET THEM, YOU ALMOST THINK THEY'RE
10 PH.D. STUDENTS. THEY'VE REALLY GOT THE FIRE.

11 NIH REGENERATIVE MEDICINE INTERACTIONS
12 WITH INDUSTRY WAS ON AUGUST 21ST. I THINK THAT WAS
13 WHERE ELLEN WAS. AND IF YOU CARE TO ASK HER ABOUT
14 THAT, THAT WOULD BE GOOD IF YOU WANTED TO.

15 CREATIVITY AWARDS DAY, THERE WERE ALL NINE
16 FUNDED INSTITUTIONS THERE, 70 INTERNS PRESENTING
17 POSTERS. NINE OF THOSE STUDENTS PRESENTED SHORT
18 TALKS, AND I UNDERSTOOD THEY WERE VERY, VERY GOOD
19 FROM THE SCIENCE STAFF THAT WERE THERE. AND THERE
20 WERE 210 ATTENDEES INCLUDING 65 PARENTS AND FAMILY
21 MEMBERS, BOARD MEMBERS, AND CIRM STAFF. SO IT WAS A
22 REAL KIND OF CELEBRATION OF THESE YOUNG PEOPLE. AND
23 I TELL YOU WHAT. THEY'RE KEYED INTO GOING INTO THE
24 SCIENCE AND MEDICINE, THESE YOUNG PEOPLE NOW, AND
25 THEY WILL BE CONTRIBUTORS IN SCIENCE AND MEDICINE IN

BARRISTERS' REPORTING SERVICE

1 THE FUTURE.

2 INDUSTRY ENGAGEMENT AND SUPPORT, WHICH IS
3 REALLY THE PROGRAM THAT ELONA RUNS, THERE WAS A
4 REGENERATIVE MEDICINE VC MEET-UP THAT WAS HELD IN
5 JUNE WHERE THEY HAD A SURVEY OF THREE OF THE 15
6 VC'S. THE PROGRAM WAS VERY WELL RECEIVED. AT LEAST
7 ONE FOLLOW-UP DISCUSSION IS PLANNED WITH COMPANIES,
8 AND THE PANELS INCLUDED GLOBAL IMPACTS,
9 REIMBURSEMENT, FINANCING, OVERCOMING REGULATORY
10 HURDLES TO REGENERATIVE MEDICINE. AND SIX CIRM
11 GRANTEES PARTICIPATED IN THE PRESENTATION SESSIONS.

12 SO I THINK WHAT WE'RE TALKING ABOUT HERE
13 IS THE MEETING ON THE MESA. SO THE SPEAKERS INCLUDE
14 CELIA WITTEN FROM FDA. THAT'S OFTEN DIFFICULT TO
15 GET CELIA TO COME VISIT, SO IT'S GREAT TO HAVE HER
16 THERE. PETE SCHULTZ WILL ALSO BE SPEAKING. HE'S
17 THE FOUNDING DIRECTOR OF THE SCRIPPS RESEARCH -- NO.
18 HE'S THE FOUNDING DIRECTOR OF THE CALIFORNIA
19 INSTITUTE FOR BIOMEDICAL RESEARCH, A NEW INSTITUTE
20 DOWN THERE.

21 GRANTS MANAGEMENT SYSTEMS, I WON'T GO INTO
22 THIS, BUT THE IP WORK AT THE INSTITUTE GOES ON.
23 IT'S FANTASTIC. IT'S DOING REALLY WELL. IT REALLY
24 HELPS US TO GET ALL OUR WORK DONE EFFECTIVELY AND
25 EFFICIENTLY. AND THE TEAM REALLY ARE DOING A

BARRISTERS' REPORTING SERVICE

1 FANTASTIC JOB.

2 I'LL FINISH AND GET CHILA TO DO THE
3 FINANCIAL REPORT FOR YOU.

4 MS. SILVA-MARTIN: THANK YOU, DR.
5 TROUNSON. GOOD AFTERNOON, MR. CHAIR, MEMBERS OF THE
6 BOARD, MEMBERS OF THE PUBLIC. I KNOW IT'S REALLY
7 LATE, SO I WILL TRY TO KEEP MY FINANCE REPORT SHORT
8 AND BRIEF. TODAY I'M GOING TO REPORT ON THE FINAL
9 OPERATING EXPENDITURES FOR THE '12-'13 FISCAL YEAR
10 AS WELL AS GIVE YOU A BRIEF HIGHLIGHT ON OUR
11 FINANCES FOR THE '13-'14 FISCAL YEAR AND BEYOND.

12 SO THIS FIRST SLIDE PROVIDES YOU WITH A
13 HIGH LEVEL OVERVIEW OF OUR '12-'13 OPERATING
14 EXPENDITURES AND GRANT DISBURSEMENTS FOR THE '12-'13
15 FISCAL YEAR AS COMPARED TO THE PRIOR PERIOD OF
16 '11-'12. I'M NOT GOING TO GO INTO ANY OF THE
17 DETAILS HERE BECAUSE I'LL BE COVERING THE OPERATING
18 EXPENDITURES IN A LITTLE BIT MORE DETAIL IN A
19 SECOND.

20 I DID WANT TO SAY THAT WE COMPLETED THE
21 YEAR IN PROCESS AND SUBMITTED OUR REPORTS TO THE
22 STATE CONTROLLER'S OFFICE BOTH ON TIME AND WITHIN
23 BUDGET.

24 SO NOW REALLY LOOKING AT THE FINAL
25 EXPENDITURES FOR THE '12-'13 FISCAL YEAR AT THE

BARRISTERS' REPORTING SERVICE

1 CATEGORICAL LEVEL. I'M JUST GOING TO HIGHLIGHT SOME
2 VARIANCES BETWEEN BUDGET AND ACTUAL EXPENDITURES
3 OVERALL. WE HAD A BUDGET OF \$17.9 MILLION. OUR
4 EXPENDITURES TOTALED \$16,304,000, LEAVING A BALANCE
5 OF \$1.6 MILLION OR 3 PERCENT. LOOKING AT SOME OF
6 THE BUDGET-TO-EXPENDITURE VARIANCES, OUR EXTERNAL
7 SERVICES, WE HAD SAVINGS THERE OF \$732,000, AND THAT
8 REALLY WAS A RESULT OF COSTS THAT EITHER DID NOT
9 MATERIALIZE AT ALL OR DID NOT MATERIALIZE AT THE
10 LEVEL THAT WAS BUDGETED.

11 COUPLE OF EXAMPLES WOULD BE OUR BOARD
12 COUNSEL. WE HAD SAVINGS OF OVER \$200,000 THERE.
13 OUR ANNUAL REPORT WE EXPERIENCED SOME SAVINGS. WE
14 ALSO HAD SOME REDIRECTION OF EXTERNAL SERVICES FUNDS
15 TO EMPLOYEE EXPENSES. SO THAT REALLY WAS A RESULT
16 OF THE MAJORITY OF THE SAVINGS IN EXTERNAL SERVICES.

17 OUR REVIEW MEETINGS AND WORKSHOPS, WE DID
18 HAVE SAVINGS THERE AS WELL OF \$329,000. AND
19 ALTHOUGH WE HELD MOST OF THE MEETINGS, THERE WAS A
20 COUPLE OF WORKSHOPS THAT WE DIDN'T HAVE. WE HAD
21 SAVINGS REALLY IN PART BECAUSE THE STAFF THAT WERE
22 RESPONSIBLE FOR COORDINATING THESE FUNCTIONS WORK
23 CLOSELY WITH THOSE VENDORS THAT WERE WILLING TO GIVE
24 US DISCOUNTS, BETTER RATES. SO THAT RESULTED IN
25 LOWER COST OVERALL.

BARRISTERS' REPORTING SERVICE

1 OUR TRAVEL EXPENSES, WE HAD SAVINGS THERE
2 OF ABOUT \$190,000. MOST OF THE COST CENTERS HAD
3 SAVINGS. THE COSTS JUST DID NOT MATERIALIZE AT THE
4 LEVEL THAT WE BUDGETED. BUT IN THAT AREA ALSO WE
5 WORKED WITH OUR TRAVEL AGENCY TO BRING DOWN THE
6 COST. WHAT WE FOUND WAS THAT THERE WAS INTERNET AND
7 NONREFUNDABLE TYPE OF AIRPLANE TICKETS THAT WE COULD
8 PURCHASE THAT WERE ACTUALLY A BETTER COST THAN WHAT
9 WE GET THROUGH THE STATE RATE. SO IN THOSE
10 INSTANCES WHERE IT MAKES SENSE, WE HAVE BEEN TRYING
11 TO SECURE AND USE THOSE RATES SO THAT WE CAN HAVE
12 MORE SAVINGS.

13 THERE WAS ONE AREA WHERE WE HAD A LITTLE
14 BIT OF OVERAGE, AND THAT WAS IN OUR EQUIPMENT,
15 SUPPLIES, AND SOFTWARE CATEGORY. AND THAT WAS
16 BECAUSE WE WANTED TO MAKE SURE THAT WE HAD
17 UNINTERRUPTED SERVICE FOR OUR COMPUTERS, AND SO WE
18 MADE SOME EQUIPMENT PURCHASES TO STABILIZE OUR
19 SERVERS.

20 SO NOW LOOKING AT THESE EXPENDITURES BY
21 COST CENTERS, THAT'S THE NEXT CHART, I JUST WANT TO
22 POINT OUT THAT THE MAJORITY OF OUR COST CENTERS,
23 EXPENDITURES WERE BETWEEN 85 TO 90 PERCENT OF WHAT
24 THEY WERE BUDGETED. AS I MENTIONED A SECOND AGO,
25 I.T. WAS SLIGHTLY HIGHER, AND IT WAS DUE TO OUR

BARRISTERS' REPORTING SERVICE

1 SERVER STABILIZATION PURCHASE. AND THEN OUR FINANCE
2 AND OPERATIONS UNIT WAS SLIGHTLY LOWER BECAUSE OF
3 YOU MAY RECALL WE HAD A CHIEF FINANCE OFFICER THAT
4 LEFT IN EARLY AUGUST. SO WE HAD SALARY SAVINGS AND
5 BENEFIT SAVINGS FROM THAT POSITION, AND WE DID
6 EVENTUALLY FILL IT WITH A BUSINESS DEVELOPMENT
7 OFFICER LATER ON IN THE FISCAL YEAR.

8 SO JUST A QUICK COMPARISON OF THE '12-'13
9 EXPENDITURES TO THE '11-'12 FISCAL YEAR. OVERALL
10 OUR EXPENDITURES WERE \$900,000 MORE IN THE '12-'13
11 FISCAL YEAR OVER WHAT WE SPENT IN '11-'12 FISCAL
12 YEAR. THE BIGGEST CATEGORY WAS IN EMPLOYEE
13 EXPENSES, AND THAT REALLY WAS DUE TO A VARIETY OF
14 FACTORS. FIRST OF ALL, WE DID HAVE MERIT
15 ADJUSTMENTS OF ABOUT 3 PERCENT. WE ALSO HAD
16 INCREASED BENEFIT COST, WHICH REALLY ARE OUT OF OUR
17 CONTROL. FOR EXAMPLE, THE HEALTH BENEFITS INCREASED
18 IN THE '12-'13 FISCAL YEAR BY ANYWHERE FROM \$50 TO
19 \$133 A MONTH PER INDIVIDUAL BASED ON THE NUMBER OF
20 DEPENDENTS THAT THEY HAVE. OUR RETIREMENT, THE
21 STATE'S RETIREMENT CONTRIBUTION FOR OUR EMPLOYEES
22 ALSO WENT UP ALMOST 1 PERCENT. AND THEN WE ALSO HAD
23 MORE POSITIONS FILLED DURING THE '12-'13 FISCAL
24 YEAR.

25 OUR EXTERNAL SERVICES EXPENDITURES ARE

BARRISTERS' REPORTING SERVICE

1 ACTUALLY DOWN FROM THE PRIOR FISCAL YEAR BY ALMOST
2 \$1.2 MILLION. THAT'S REALLY BECAUSE WE'VE
3 REDIRECTED SOME SERVICES FROM EXTERNAL SERVICES TO
4 EMPLOYEES. AS YOU MAY RECALL, WE DID THAT LAST YEAR
5 IN OUR BUDGET DEVELOPMENT. WE ALSO HAVE REDUCED
6 SOME OF OUR COST, LIKE I INDICATED, IN OUR LEGAL
7 AREA. ANOTHER AREA WHERE WE'VE HAD SOME DECREASED
8 COST IS IN OUR COMMUNICATION AND OUR OUTREACH
9 EFFORTS BECAUSE WE NOW HAVE STAFF THAT ARE ACTUALLY
10 PERFORMING SOME OF THOSE FUNCTIONS.

11 AND LET'S SEE. THAT'S PRETTY MUCH ALL THE
12 THINGS THAT I WANTED TO COVER ON THIS COMPARISON.

13 AND THEN JUST BRINGING US NOW TO THE
14 CURRENT YEAR AND BEYOND. SO FOR THE FIRST MONTH OF
15 THIS FISCAL YEAR WE HAVE DISBURSED \$26 MILLION FOR
16 OUR GRANTS. WE CONTINUE TO HAVE A VERY HEALTHY CASH
17 RESERVE, JUST UNDER \$63 MILLION. AND THE OFFICE OF
18 THE CHAIR CONTINUES TO WORK WITH THE STATE
19 TREASURER'S OFFICE AND DEPARTMENT OF FINANCE TO
20 ENSURE THAT WE HAVE ADDITIONAL FUNDING EVERY MONTH.

21 NOW TAKING ALL OF THAT AND PUTTING IT INTO
22 OUR 6-PERCENT CAP, AS YOU KNOW, PROPOSITION 71 SETS
23 ASIDE \$180 MILLION FOR OUR GENERAL AND GRANT
24 OPERATIONS. SO AS OF JUNE OF 2013, WE HAVE SPENT 75
25 OF THAT \$180 MILLION. OUR BUDGET IS JUST UNDER \$15

BARRISTERS' REPORTING SERVICE

1 MILLION FOR THE CURRENT FISCAL YEAR. SO AT THE END
2 OF THIS FISCAL YEAR, I ANTICIPATE THAT WE'LL BE AT
3 ABOUT HALF OF THAT MONEY SPENT, \$90 MILLION, LEAVING
4 US JUST \$90 MILLION FOR THE REMAINDER OF OUR
5 OPERATIONS. AND THIS, OF COURSE, ASSUMES THAT WE
6 DON'T GET ANY ADDITIONAL BOND FUNDING OR OTHER
7 FUNDING. AND SO WHAT WE DO THEN IS WE TAKE THAT \$90
8 MILLION AND MAKE SURE THAT WE HAVE SUFFICIENT
9 FUNDINGS TO TAKE US THROUGH THE END OF OUR
10 OPERATIONS.

11 AND SO BASED ON THAT ASSUMPTION, AS YOU
12 CAN SEE, WE ANTICIPATE THAT WE WILL CONTINUE TO HAVE
13 GRADUAL INCREASES THROUGH THE '16-'17 FISCAL YEAR,
14 WHICH IS THE LAST YEAR THAT WE ANTICIPATE THAT WE
15 WILL BE MAKING GRANT AWARDS. AND THOSE GRADUAL
16 INCREASES INCLUDE AN ASSUMPTION THAT WE WILL HAVE TO
17 START PAYING FOR RENT IN NOVEMBER 2015 AND THEN
18 MINOR COST OF LIVING INCREASES. AND THEN BEGINNING
19 WITH THE '17-'18 FISCAL YEAR AND BEYOND, WE WILL SEE
20 A GRADUAL REDUCTION THROUGH THE '20-'21 FISCAL YEAR.

21 AND THAT REALLY CONCLUDES MY PRESENTATION.
22 ARE THERE ANY QUESTIONS? NO. OKAY. THANK YOU.

23 CHAIRMAN THOMAS: THANK YOU, CHILA. I
24 BELIEVE THAT CONCLUDES TODAY'S AGENDA. SO I'D LIKE
25 TO THANK EVERYBODY FOR THEIR PARTICIPATION, AND HAVE

BARRISTERS' REPORTING SERVICE

1 A NICE END OF SUMMER, AND WE WILL SEE EVERYBODY IN
2 BURLINGAME IN OCTOBER. WE STAND ADJOURNED.

3 (THE MEETING WAS THEN CONCLUDED AT
4 04:11 P.M.)

5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

BARRISTERS' REPORTING SERVICE

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

MARRIOTT LA JOLLA
4240 LA JOLLA VILLAGE DRIVE
LA JOLLA, CALIFORNIA
ON
AUGUST 28, 2013

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152
BARRISTERS' REPORTING SERVICE
160 S. OLD SPRINGS ROAD
SUITE 270
ANAHEIM, CALIFORNIA
(714) 444-4100