

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: CLAREMONT HOTEL
41 TUNNEL ROAD
BERKELEY, CALIFORNIA

DATE: WEDNESDAY, JANUARY 29, 2014
9 A.M.

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO.: 95374

BARRISTERS' REPORTING SERVICE

I N D E X

ITEM DESCRIPTION	PAGE NO.
REPORTS & DISCUSSION ITEMS.	
1. CALL TO ORDER.	4
2. PLEDGE OF ALLEGIANCE.	4
3. OATH OF OFFICE FOR NEW BOARD MEMBERS.	4
4. ROLL CALL.	6
5. CHAIRMAN'S REPORT.	8
6. PRESIDENT'S REPORT.	15
ACTION ITEMS	
7. CONSIDERATION OF APPLICATIONS FOR RFA 12-06: CIRM STEM CELL GENOMICS CENTERS OF EXCELLENCE AWARDS.	189
8. CONSIDERATION OF APPLICATIONS FOR RFA 13-02: CIRM BASIC BIOLOGY AWARDS V.	53
9. CONSIDERATION OF APPOINTMENT OF NEW MEMBERS TO THE MEDICAL AND ETHICAL STANDARDS WORKING GROUP.	181
10. CONSIDERATION OF INITIATING RULEMAKING FOR AMENDMENT OF SECTION 100070 OF THE CIRM MEDICAL AND ETHICAL STANDARDS.	182
11. CONSIDERATION OF RESOLUTION HONORING MICHAEL GOLDBERG.	164
12. CONSIDERATION OF CANDIDATE POSITION STATEMENT FOR PRESIDENT.	176

BARRISTERS' REPORTING SERVICE

I N D E X (CONT'D.)

	PAGE NO.
CLOSED SESSION	NONE
15. CONSIDERATION OF MINUTES FROM THE DECEMBER 2013 ICOC BOARD MEETING.	POSTPONED
DISCUSSION ITEMS	
16. COMMUNICATIONS UPDATE.	POSTPONED
17. PUBLIC COMMENT.	NONE

BARRISTERS' REPORTING SERVICE

1 BERKELEY, CALIFORNIA; WEDNESDAY, JANUARY 29, 2014

2 9 A.M.

3

4 CHAIRMAN THOMAS: WELCOME, EVERYBODY. AND
5 A HAPPY NEW YEAR. LIKE TO WELCOME EVERYONE TO THE
6 CLAREMONT HOTEL IN BERKELEY FOR THOSE ON THE AIR.
7 WE ARE VERY HAPPY TO CONVENE THE FIRST MEETING OF
8 2014 FOR THE ICOC AND LOOK FORWARD TO A VERY
9 INTERESTING AGENDA WITH A NUMBER OF, AS USUAL, VERY
10 CRITICAL TOPICS TO COVER.

11 MARIA, CAN YOU PLEASE CALL -- NO. PLEASE
12 CALL THE -- YOU DO THE PLEDGE FIRST. OKAY. DO THE
13 PLEDGE OF ALLEGIANCE FIRST. THANK YOU.

14 (THE PLEDGE OF ALLEGIANCE.)

15 CHAIRMAN THOMAS: WE'RE GOING TO DO
16 SOMETHING A LITTLE FUN HERE, A LITTLE OUT OF THE
17 ORDINARY. WE HAVE TWO NEW MEMBERS. NORMALLY IN THE
18 PAST WE HAVE SWORN THEM IN PRIOR TO MEETINGS, BUT WE
19 THOUGHT IT WOULD BE KIND OF FUN TO ACTUALLY HAVE
20 THEM SWORN IN AS PART OF THE OFFICIAL AGENDA. SO WE
21 WANT TO WELCOME HERE LAUREN MILLER AND JOE PANETTA.
22 FIRST, IF YOU TWO COULD STAND AND REPEAT AFTER ME.

23 (NEW BOARD MEMBERS WERE THEN DULY
24 SWORN IN.)

25 CHAIRMAN THOMAS: CONGRATULATIONS.

BARRISTERS' REPORTING SERVICE

1 WELCOME TO THE BOARD.

2 (APPLAUSE.)

3 CHAIRMAN THOMAS: TO THE TWO OF YOU, IT'S
4 TRADITIONAL THAT NEW MEMBERS GIVE A LITTLE BRIEF
5 STATEMENT ABOUT THEMSELVES SO THAT OTHER BOARD
6 MEMBERS AND FOLKS IN THE ROOM CAN GET A FEEL FOR
7 WHAT YOU'VE DONE. SO, LAUREN, IF YOU COULD JUST SAY
8 A FEW WORDS TO START, PLEASE.

9 MS. MILLER: SURE. HI. I'M LAUREN
10 MILLER. I FOUNDED AN ORGANIZATION CALLED HILARITY
11 FOR CHARITY IN WHICH WE RAISE AWARENESS OF
12 ALZHEIMER'S AMONG A YOUNG GENERATION. WE'VE BEEN
13 AROUND JUST ABOUT TWO YEARS NOW AND HAVE RAISED
14 CLOSE TO A MILLION DOLLARS. WE HAVE JUST STARTED A
15 PROGRAM TO GET COLLEGE STUDENTS INVOLVED AND
16 THROWING EVENTS TO RAISE AWARENESS AND FUNDS FOR
17 ALZHEIMER'S.

18 AND PERSONALLY I'M A SCREEN WRITER, AND
19 I'M JUST SO THRILLED TO BE HERE. THANK YOU.

20 CHAIRMAN THOMAS: THANK YOU. JOE.

21 MR. PANETTA: MORNING. I'M JOE PANETTA,
22 AND I'M THE PRESIDENT AND CEO OF BIOCOM, AND I ALSO
23 SERVE ON THE BOARDS OF TWO BIOTECH COMPANIES, GENWAY
24 BIO AND GENE THERAPEUTICS. AND BIOCOM IS THE
25 ORGANIZATION FOR THE SOUTHERN CALIFORNIA LIFE

BARRISTERS' REPORTING SERVICE

1 SCIENCE COMMUNITY.

2 I'VE BEEN INVOLVED AND ENGAGED WITH CIRM
3 ON THE SIDELINES FOR THE MOST PART FROM THE DAY THAT
4 THE CONCEPT CAME UP WHEN WE SUPPORTED IT THROUGH
5 BIOCOM AND ALSO TOOK A PART IN THE COMPETITION TO
6 HAVE THE CIRM HEADQUARTERS IN SAN DIEGO. SO I'M
7 THRILLED TO FINALLY HAVE THE OPPORTUNITY TO JOIN
8 YOU, AND I THINK THIS IS A VERY, VERY SERIOUS JOB
9 THAT WE HAVE HERE. AND I'M LOOKING FORWARD TO
10 WORKING WITH YOU FOR THE NEXT THREE YEARS AND THEN
11 HOPEFULLY INTO THE FUTURE AS WELL.

12 CHAIRMAN THOMAS: THANK YOU. WELCOME
13 AGAIN.

14 MARIA, COULD YOU PLEASE CALL THE ROLL.

15 MS. BONNEVILLE: KEN BURTIS.

16 DR. BURTIS: HERE.

17 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

18 DR. DULIEGE: HERE.

19 MS. BONNEVILLE: MARCY FEIT. LEON FINE.

20 DR. FINE: YES.

21 MS. BONNEVILLE: MICHAEL FRIEDMAN.

22 DR. FRIEDMAN: HERE.

23 MS. BONNEVILLE: JUDY GASSON.

24 DR. GASSON: HERE.

25 MS. BONNEVILLE: SAM HAWGOOD. DAVID

BARRISTERS' REPORTING SERVICE

1 BRENNER. STEPHEN JUELSGAARD.
2 MR. JUELSGAARD: HERE.
3 MS. BONNEVILLE: SHERRY LANSING. JACOB
4 LEVIN.
5 DR. LEVIN: HERE.
6 MS. BONNEVILLE: BERT LUBIN.
7 DR. LUBIN: HERE.
8 MS. BONNEVILLE: LAUREN MILLER.
9 MS. MILLER: HERE.
10 MS. BONNEVILLE: LLOYD MINOR.
11 DR. MINOR: HERE.
12 MS. BONNEVILLE: JOE PANETTA.
13 DR. PANETTA: HERE.
14 MS. BONNEVILLE: FRANCISCO PRIETO.
15 DR. PRIETO: HERE.
16 MS. BONNEVILLE: CARMEN PULIAFITO.
17 DR. PULIAFITO: PRESENT.
18 MS. BONNEVILLE: ROBERT QUINT.
19 DR. QUINT: HERE.
20 MS. BONNEVILLE: AL ROWLETT. JOAN
21 SAMUELSON. JEFF SHEEHY.
22 MR. SHEEHY: HERE.
23 MS. BONNEVILLE: OSWALD STEWARD. JONATHAN
24 THOMAS.
25 CHAIRMAN THOMAS: HERE.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: ART TORRES.

2 MR. TORRES: HERE.

3 MS. BONNEVILLE: KRISTINA VUORI.

4 DR. VUORI: HERE.

5 MS. BONNEVILLE: DONNA WESTON.

6 DR. WESTON: HERE.

7 MS. BONNEVILLE: DIANE WINOKUR.

8 MS. WINOKUR: HERE.

9 CHAIRMAN THOMAS: THANK YOU. MOVE NOW TO
10 THE CHAIRMAN'S REPORT. LAST WEEK WE HAD OUR ANNUAL
11 VISIT WITH THE STATE CONTROLLER WHO RUNS THE CFAOC,
12 WHICH IS AN OVERSIGHT BODY THAT CONVENES ONCE A YEAR
13 TO HEAR ABOUT AND COMMENT ON VARIOUS ASPECTS OF
14 CIRM.

15 WE TOOK, AS WE ALWAYS DO, A TEAM DOWN.
16 THE MEETING WAS IN LOS ANGELES. UNFORTUNATELY THE
17 CONTROLLER HAD INJURED HIS FOOT THAT MORNING AND
18 SPENT THE DAY IN THE EMERGENCY ROOM. SO DEPUTY
19 CONTROLLER RUTH HOLTON-HODSON RAN THE MEETING. AND
20 WE WENT DOWN AND, AS WE ALWAYS DO, WE PRESENTED OUR
21 AUDIT, WHICH WAS FLAWLESS, THANK YOU TO CHILA
22 SILVA-MARTIN AND HER STAFF. THAT ALWAYS GOES OVER
23 WELL WITH STATE OFFICIALS, AS WELL IT SHOULD.

24 WE PRESENTED LAST YEAR'S BUDGET AND THIS
25 YEAR'S BUDGET WITH AN ANALYSIS OF EACH AND

BARRISTERS' REPORTING SERVICE

1 COMMENTARY ON VARIOUS ASPECTS THEREIN. DR. FEIGAL
2 PRESENTED ON THE STATUS OF THE SCIENCE PROGRAM, AND
3 I SPOKE ON ISSUES CONNECTED TO THE TRANSITION IF WE
4 DO HIT A TIME WHEN WE DO RUN OUT OF FUNDS.

5 SO WE HAD A SPIRITED DISCUSSION. I THINK
6 THE GENERAL RESULT WAS VERY GOOD. ALL OF US WHO
7 ATTENDED FELT IT WENT VERY WELL, WHICH IS IMPORTANT
8 BECAUSE, AS THE ONE OVERSIGHT BODY FOR CIRM, WE WANT
9 TO MAKE SURE WE ANSWER EVERYTHING, WE DEMONSTRATE
10 COMPLETE TRANSPARENCY IN ALL WE DO. AND I THINK WE
11 GOT, IN ADDITION, THE MESSAGE ACROSS THAT WE HAVE
12 TREMENDOUSLY EXCITING WORK IN PROGRESS RIGHT NOW,
13 AND I THINK OPENED SOME EYES WITH SPECIFICS ABOUT
14 SOME OF THEM AND GENERATED A LOT OF EXCITEMENT. SO
15 I THINK ALL IN ALL IT WAS A VERY SUCCESSFUL MEETING.
16 WE WERE VERY PLEASED WITH THE OUTCOME.

17 THIS IS, AS YOU PROBABLY KNOW, THE LAST
18 MEETING THAT WILL BE PRESIDED OVER EITHER BY
19 CONTROLLER JOHN CHIANG OR HIS DEPUTY CONTROLLER. HE
20 HAS TERMED OUT. HE IS RUNNING, SENATOR TORRES,
21 CURRENTLY UNOPPOSED --

22 MR. TORRES: FOR TREASURER, YES.

23 CHAIRMAN THOMAS: -- FOR STATE TREASURER.
24 TREASURER LOCKYEAR HAS TERMED OUT. SO THERE WILL BE
25 SOME BIG MOVES THIS YEAR WITH RESPECT TO THOSE TWO

BARRISTERS' REPORTING SERVICE

1 POSITIONS, WHICH ARE OBVIOUSLY CRITICAL TO US. WE
2 WELCOME THE OPPORTUNITY TO WORK WITH CONTROLLER
3 CHIANG WHEN HE -- IF AND WHEN, I SHOULD SAY, YOU
4 NEVER KNOW WHO MIGHT GET IN THE RACE, BUT IF HE DOES
5 END UP AS STATE TREASURER, WE WILL BE DELIGHTED TO
6 CARRY ON WHAT IS A VERY GOOD WORKING RELATIONSHIP
7 AND WILL OBVIOUSLY WORK VERY HARD TO CONTINUE OUR
8 TRADITION WITH THE NEW STATE CONTROLLER. THAT IS A
9 CONTESTED RACE AT THIS POINT, AND WE'LL SEE HOW THAT
10 PLAYS OUT.

11 SECOND ITEM I WANTED TO RELAY TO YOU. AS
12 YOU KNOW, WE ARE IN THE MIDST OF OUR PRESIDENTIAL
13 SEARCH. AND WE HAVE RETAINED THE FIRM OF KORN FERRY
14 TO ASSIST US IN THAT. WE HAVE WITH US HERE
15 ATTENDING OUR MEETING WARREN ROSS WHO IS LEADING THE
16 TEAM AT KORN FERRY. WE HAD A MEMBER -- I'M
17 SORRY -- A MEETING LAST NIGHT OF THE PRESIDENTIAL
18 SEARCH SUBCOMMITTEE IN WHICH, AMONG OTHER THINGS, WE
19 CONSIDERED AND VOTED ON A SERIES OF CANDIDATE
20 SPECIFICATIONS WHICH YOU WILL SEE AS ONE OF THE
21 AGENDA TOPICS TODAY. AND WE'RE PROCEEDING APACE ON
22 A TIMELINE THAT LOOKS TO HAVE A DECISION MADE BY
23 MAY.

24 WARREN, IF YOU COULD STEP UP TO THE MIC
25 BACK THERE AND SAY A FEW WORDS, INTRODUCE YOURSELF,

BARRISTERS' REPORTING SERVICE

1 AND SAY A BIT ABOUT THE PROCESS, I THINK EVERYBODY
2 WOULD BE MOST INTERESTED.

3 MR. ROSS: THANK YOU. CAN YOU HEAR ME
4 OKAY? WELL, FIRST OF ALL, THANK YOU AND THANKS FOR
5 THE OPPORTUNITY TO WORK WITH YOU ON THIS IMPORTANT
6 SEARCH. AS I TOLD J.T. WHEN HE VERY FIRST CALLED ME
7 ABOUT THIS POSSIBILITY, WE'D NOT ONLY BE PLEASED,
8 BUT HONORED, FRANKLY. WE CONSIDER THIS TO BE AN
9 EXTRAORDINARY EFFORT FOR A LOT OF DIFFERENT REASONS,
10 AND WE'RE GLAD TO BE A PART OF IT.

11 THE FIRST STEP IN AN EXECUTIVE SEARCH
12 PROCESS IS ALWAYS TO TRY TO UNDERSTAND THE
13 ORGANIZATION AND TRY TO GET SOMETHING ON PAPER THAT
14 CAPTURES THE ORGANIZATION, WHAT ITS ISSUES ARE, WHAT
15 ITS ASPIRATIONS ARE, AND WHAT THE NEEDS ARE WITH
16 RESPECT TO THE POSITION. AND THAT'S THE POSITION
17 SPEC THAT YOU WILL SEE LATER. I BELIEVE IT'S
18 AVAILABLE FOR EVERYBODY. IT'S A BIT LENGTHY, I MUST
19 SAY, BUT I THINK WE CAPTURED EVERYTHING, AND
20 ESPECIALLY THE RATHER COMPELLING PART ABOUT THE
21 IMPORTANCE OF THIS AGENCY.

22 I KNOW PEOPLE LIKE TO FOCUS ON THE FACT
23 THAT THE MONEY MIGHT RUN OUT, AND THERE MAY HAVE
24 BEEN ISSUES IN THE PAST AND ONE THING OR ANOTHER;
25 BUT AT THE END OF THE DAY, THIS IS ONE OF THE GREAT

BARRISTERS' REPORTING SERVICE

1 SUCCESS STORIES IN THE FUNDING OF SCIENCE IN
2 AMERICA. THE CITIZENS STOOD UP AND SAID WE WANT
3 THIS.

4 AND WHAT'S HAPPENED IS IS THAT, IN SPITE
5 OF WHATEVER OTHER ISSUES THERE MAY BE, THE STEM CELL
6 WORLD THINKS THIS IS AN EXTRAORDINARILY IMPORTANT
7 AGENCY. SO OUR PRELIMINARY CONVERSATIONS ARE
8 ALREADY VERY POSITIVE, FOR EXAMPLE, IN THE STEM CELL
9 WORLD. AND I'M VERY PLEASED ABOUT THAT.

10 I ENCOURAGE YOU TO LOOK AT THE SPEC, SEE
11 IF IT CAPTURES THE NARRATIVE FOR YOUR SEARCH IN A
12 WAY THAT YOU WISH BECAUSE THIS IS THE BEGINNING OF
13 THE STORY. AND WE WANT IT TO BE COMPELLING FOR
14 CANDIDATES.

15 THE TIMELINE IS SUCH THAT WE EXPECT TO
16 PRESENT CANDIDATES IN LATE MARCH AND THEN GO THROUGH
17 A SERIES OF VETTING AND REVIEW PROCESSES AND HAVE
18 THE BOARD ABLE TO INTERVIEW FINALISTS IN APRIL, AND
19 THEN HOPEFULLY NEGOTIATE TO A CONCLUSION IN MAY.
20 IT'S A VERY AGGRESSIVE TIMELINE, BUT ONE WE THINK WE
21 CAN MEET. AND I MUST SAY THAT THE EFFORTS ALREADY
22 OF J.T. AND MARIA AND JAMES AND ALL THE OTHERS THAT
23 WE WORK WITH IN THE AGENCY AND ON THE BOARD HAVE
24 GIVEN ME ENCOURAGEMENT THAT WE CAN ACTUALLY MEET
25 THIS TIMELINE.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: THANK YOU VERY MUCH,
2 WARREN. WE APPRECIATE YOUR COMMENTS AND ALL YOUR
3 HELP. WARREN LEADS THE TEAM. THERE ARE A NUMBER OF
4 OTHERS BOTH OUT IN CALIFORNIA AND IN NEW YORK AND
5 WASHINGTON THAT ARE MEMBERS OF THAT TEAM. SO WE
6 BELIEVE WE HAVE IN KORN FERRY A FIRM THAT WILL BRING
7 GREAT EXPERTISE AND DIVERSITY OF THOUGHT TO THIS
8 PROCESS, AND WE'LL END UP WITH A VERY GOOD RESULT.

9 FEW OTHER ITEMS I'D LIKE JUST TO RELAY.
10 THE JP MORGAN CONFERENCE, IT WAS LAST WEEK. FOR
11 THOSE OF YOU WHO HAVE NEVER GONE TO THAT, IT'S THE
12 MOST INTERESTING AFFAIR WHERE THOUSANDS OF PEOPLE
13 CONNECTED TO BIOTECH CONVERGE ON SAN FRANCISCO AND
14 TAKE IT OVER BASICALLY. AND IT'S AN INTERESTING
15 OPPORTUNITY FOR US TO MEET WITH SELECTED PEOPLE WHO
16 ARE HERE ALL IN THE EFFORT TO SORT OF ADVANCE THE
17 CAUSE OF DEVELOPING STRATEGIC ALLIANCES BETWEEN
18 INDUSTRY AND OUR GRANTEEES.

19 ELONA AND NEIL DID A GREAT JOB OF SETTING
20 FULL CALENDARS FOR THE WEEK THAT A NUMBER OF US GOT
21 TO ATTEND VARIOUS MEETINGS. AND YOU COULD SEE SORT
22 OF, WHEN YOU LISTEN TO THE TENOR OF THE MEETING,
23 IT'S INTERESTING. THEY ALWAYS START THE ALLIANCE
24 FOR REGENERATIVE MEDICINE DOES THE STATE OF THE
25 UNION MONDAY MORNING OF THE WEEK, AND YOU KIND OF

BARRISTERS' REPORTING SERVICE

1 GET A SENSE FOR HOW THE FIELD IS DEVELOPING. AND
2 THERE WAS SORT OF A PALATABLE INCREASE IN
3 ENTHUSIASM. THERE'S ALWAYS BEEN ENTHUSIASM, BUT IN
4 TERMS OF WHERE THINGS ARE HEADING AND THE INTEREST
5 IN BIOTECH AND WHERE PRODUCTS ARE DEVELOPING, ETC.,
6 MOST INTERESTING SESSION. IN FACT, MARIA, CAN WE
7 SEND THE DECK OUT TO THE BOARD ON THE ARM
8 PRESENTATION? I THINK YOU WILL FIND IT VERY
9 INTERESTING. IT GIVES A LOT OF SORT OF SALIENT
10 STATS AND FACTS AND WHERE THINGS ARE RIGHT NOW IN
11 THE INDUSTRY.

12 SO, ELONA, NEIL, AGAIN, GREAT JOB ON THAT.
13 AND VERY, VERY INTERESTING SESSION AS ALWAYS.

14 I'D LIKE TO NOTE, YOU HAVE IN YOUR PACKAGE
15 THERE, WE ARE TAKING A FRESH LOOK AT OUR VARIOUS
16 SUBCOMMITTEES, AND YOU WILL SEE MATERIAL THERE WHICH
17 LISTS VARIOUS SUBCOMMITTEES THAT YOU CURRENTLY ARE
18 ON. I'D LIKE EVERYBODY TO TAKE A LOOK AT THAT TO
19 SEE IF YOU WOULD LIKE TO CONTINUE. IF THERE ARE
20 OTHER SUBCOMMITTEES THAT ARE OF PARTICULAR INTEREST,
21 PLEASE LET MARIA KNOW AND WE'LL MAKE SURE THAT YOUR
22 INTERESTS ARE FULLY TAKEN CARE OF.

23 LET'S SEE. SO I THINK THERE ARE A FEW
24 OTHER THINGS THAT WE COULD MENTION, BUT I THINK
25 WE'RE GOING, IN THE INTEREST OF TIME HERE, WE'RE

BARRISTERS' REPORTING SERVICE

1 GOING TO NEXT PROCEED TO THE PRESIDENT'S REPORT. I
2 THINK THAT BEFORE -- ALAN, WELCOME. LOOKING HALE
3 AND FIT. BEFORE HE STARTS, SENATOR TORRES, YOU HAD
4 A WORD.

5 MR. TORRES: YES. I WANT TO SAY THANK YOU
6 AGAIN TO THE CHAIRMAN AND TO DR. FEIGAL. I WAS NOT
7 ABLE TO BE AT THE CONTROLLER'S MEETING. I HAD A
8 PREVIOUS COMMITMENT, BUT YOU BOTH DID VERY, VERY
9 WELL. AND I JUST WANT TO THANK YOU FOR THAT.

10 SECONDLY, WE WANT TO -- I WANT TO THANK
11 THE GOVERNOR, WHO I'VE KNOWN FOR ALMOST 40 YEARS,
12 FOR HIS SPEECH AT THE STATE OF THE STATE WHERE HE
13 SPECIFICALLY MENTIONED STEM CELL AS THE FUTURE FOR
14 ECONOMIC RECOVERY FOR CALIFORNIA. I THINK THE
15 GOVERNOR IS NOW REALLY PAYING CLOSE ATTENTION TO THE
16 EFFORTS THAT WE'RE MAKING HERE. AND I WANT TO THANK
17 HIM, AGAIN, FOR THE TREMENDOUS APPOINTMENTS HE GAVE
18 US IN LAUREN AND JOE. I THINK THEY'RE GOING TO BE
19 AN INCREDIBLE CONTRIBUTION TO THIS BOARD. AND I
20 JUST WANTED TO THANK THE GOVERNOR FOR DOING THAT AS
21 WELL. THANK YOU, MR. CHAIRMAN.

22 CHAIRMAN THOMAS: THANK YOU, MR. SENATOR.
23 ON NOW TO THE PRESIDENT'S REPORT. DR. TROUNSON.

24 DR. TROUNSON: HI, BOARD. LITTLE
25 DIFFERENT TO THE WEATHER OUT IN AUSTRALIA, I MUST

BARRISTERS' REPORTING SERVICE

1 ADMIT. I'M PLEASSED TO BE BACK HERE. AND IT WILL BE
2 VERY GOOD TO GET TO KNOW YOU, LAUREN, IN THE TIME
3 THAT I REMAIN HERE, AND A REAL PLEASURE TO WORK WITH
4 JOE, WHO WE'VE WORKED TOGETHER IN THE PAST AND I
5 THINK IS A TREMENDOUS ADDITION TO THE BOARD. WE
6 REALLY NEED SOME OF THE BIOTECH CAPACITY HERE, AND
7 IT'S GREAT TO HAVE YOU ON BOARD. SO LOOK FORWARD TO
8 US GETTING TO KNOW YOU AND CONTINUING TO WORK WITH
9 YOU. IT'S FANTASTIC.

10 I'VE HAD THE OPPORTUNITY TO SPEND A LITTLE
11 BIT OF TIME WITH THE TWO LEADERS OF THE BILLION
12 DOLLAR STEM CELL OR REGENERATIVE MEDICINE COMPANIES
13 THAT ARE IN THE SPACE AND ACTUALLY WAS WITH THEM
14 WHEN THEY MET TOGETHER. SO THERE IS SOME REAL
15 SUCCESSES HAPPENING OUT THERE NOW, AND I THINK
16 THINGS REALLY ARE TAKING OFF IN SOME PARTICULAR
17 DIRECTIONS VERY EMPHATICALLY. SO I THINK THE
18 ORGANIZATION IS WELL POSITIONED TO HELP MORE OF THAT
19 TO EVOLVE. AND SO I LOOK FORWARD TO MANY MORE OF
20 THESE BILLION-DOLLAR ENTERPRISES ACTUALLY GATHERING
21 IN THIS SPACE AND BEING ASSOCIATED WITH CALIFORNIA.

22 AS USUAL, START OFF WITH SOME SCIENCE FOR
23 EVERYBODY, AND IT'S NOT ALL THAT -- THAT'S NOT SUCH
24 A CLEAR SLIDE. I'M SORRY ABOUT THAT. BUT LET ME
25 TAKE YOU THROUGH WHAT I THINK ARE A COUPLE OF THE

BARRISTERS' REPORTING SERVICE

1 REALLY IMPORTANT DEVELOPMENTS AGAIN THAT WE'VE SEEN
2 IN THE LAST MONTH.

3 AND ONE OF THE AREAS WHERE WE HAVEN'T
4 REALLY HAD REALLY EMPHATIC STEM CELL DEVELOPMENTS IS
5 IN THE LUNG. AND HERE WE HAVE A PAPER FROM THE HANS
6 SNOECK LAB IN COLUMBIA MEDICAL CENTER. IT WAS
7 PUBLISHED IN *NATURE BIOTECHNOLOGY* IN DECEMBER. AND
8 THEY'RE ABLE TO INDUCE DIFFERENTIATION OF BOTH HUMAN
9 EMBRYONIC STEM CELLS AND IPS CELLS INTO ALL THE
10 VARIETY OF CELL TYPES NEEDED FOR LUNG REPAIR. AND
11 THIS IS A REALLY BIG DEVELOPMENT IN MY MIND.

12 I WORKED IN THE LUNG BEFORE I CAME TO
13 CIRM. AND THESE WERE THE WORK THAT I WAS DOING AT
14 THE TIME, AND I'M SO PLEASED TO SEE THAT IT'S
15 ACTUALLY NOW COME TO PASS THAT WE CAN ACTUALLY DRIVE
16 THESE CELLS INTO ALL OF THE CELLS OF THE LUNG
17 BECAUSE IT'S A COMPLICATED TISSUE, THE LUNG. BUT AS
18 ALL THE MEDICAL PEOPLE WILL KNOW, IT'S REALLY ONE OF
19 THE CRITICAL ORGANS OF THE BODY. AND IF YOU DON'T
20 KEEP THAT IN GOOD HEALTH, YOU'RE IN SERIOUS PROBLEM
21 FOR YOUR OTHER ORGANS, BUT ALSO FOR YOUR VERY LIFE.
22 UNFORTUNATELY MY MOTHER DIED OF LUNG DISEASE, SO I
23 KNOW HOW DIFFICULT THIS CAN BE.

24 SO THEY WERE ABLE TO PRODUCE AIRWAY AND
25 MUCUS CELL TYPES, CARTILAGE, SMOOTH MUSCLE, AND

BARRISTERS' REPORTING SERVICE

1 SUBMUCOSAL GLANDS. SO ALL OF THE CRITICAL
2 COMPONENTS OF THE LUNG.

3 THEY FORMED DEFINITIVE ENDODERM
4 PROGENITORS, WHICH ARE THE PRELUNG CELLS, AND THEN
5 MOVE THEM TO ANTERIOR FOREGUT ENDODERM, WHICH IS AN
6 AREA OF DEVELOPMENT WHICH THE LUNG AND SOME OTHER
7 CRITICAL TISSUES, ORGANS, DEVELOP FROM USING ACTIVIN
8 A, A GROWTH FACTOR, AND INHIBITION OF THE TGF-BETA
9 AND BONE MORPHOGENIC PROTEIN GENETIC PATHWAYS.

10 AND IF YOU EXPRESS A GENE CALLED NX 2.1
11 AND ACTUALLY PUT NX 2.1 BY HOMOLOGOUS RECOMBINATION
12 IN STEM CELLS, AS WAS I LEAVING MONASH, AND FOX A2,
13 YOU CAN GET 70 PERCENT OF YOUR DIFFERENTIATED CELLS
14 UP, AND THEY'RE THE KEY MARKERS FOR LUNG LINEAGES.
15 SO IF YOU CAN DO THAT, YOU KNOW YOU'RE IN THE LUNG.
16 SO THEY'RE THE CRITICAL MARKERS. AND THESE CELLS
17 CAN BE SELECTED AND FURTHER DIFFERENTIATED BOTH IN
18 THE LAB AND IN IN VIVO IN MICE AS LUNG DERIVATIVES
19 THAT INCLUDE ALL THE TYPES OF HUMAN LUNG CELLS THAT
20 YOU NEED.

21 SO JUST FOR MAKING LUNG CELLS FROM
22 PLURIPOTENTIAL STEM CELLS, THERE'S A PATHWAY THERE.
23 DOWN THE BOTTOM IT SHOWS YOU THAT IF YOU USE A
24 SPECIFIC SET OF DEVELOPMENT FACTORS, THESE ARE
25 GROWTH FACTORS OR CYTOKINES, YOU CAN ACTUALLY GET A

BARRISTERS' REPORTING SERVICE

1 HIGH PROPORTION OF EXPRESSION OF THOSE MARKERS. AND
2 THAT LEADS YOU TO ALL OF THE CELLS OF THE LUNG,
3 WHICH GIVES YOU CLARA CELLS, CILIATED CELLS, GOBLET
4 CELLS, PSEUDOSTRATIFIED EPITHELIUM, SMOOTH MUSCLE,
5 AND SUBMUCOSAL GLANDS. YOU CAN SEE THIS WHEN YOU
6 ACTUALLY TRANSFER THESE CELLS INTO IMMUNE-SUPPRESSED
7 MICE. SO WE CAN ACTUALLY FORM ALL THE LUNG TISSUE
8 AND ALL THE COMPONENTS OF LUNG.

9 SO THIS IS A VERY STRONG ELEMENT. BUT
10 ALSO YOU CAN ACTUALLY FORM WHAT IS REALLY CRITICAL
11 IS THE SURFACTANTS, AND PARTICULARLY SURFACTANT C
12 AND SURFACTANT B. SO THEY ARE VERY SPECIFIED FOR
13 THE LUNG, AND YOU CLEARLY HAVE TO BE ABLE TO PRODUCE
14 THOSE IF YOU'VE GOT LUNG TISSUE. BUT SHOWN HERE,
15 WHICH IS DIFFICULT TO SEE, BUT IN THE RED AT THE
16 BOTTOM YOU CAN SEE BOTH THESE RED CELLS TURNING UP
17 FOR SURFACTANT C AND B.

18 SO WHAT THIS REALLY MEANS NOW, WE'VE GOT
19 NEW CELLULAR WAYS TO REPAIR HUMAN LUNG DISEASE,
20 PARTICULARLY IN CONDITIONS LIKE PULMONARY FIBROSIS
21 OR COPD, WHICH IS CHRONIC OBSTRUCTIVE PULMONARY
22 DISEASE, CHRONIC BRONCHITIS, EMPHYSEMA, CYSTIC
23 FIBROSIS, ETC. SO THIS IS, I THINK, A REALLY BIG
24 DEVELOPMENT, AND I THINK IT WILL MOVE US TOWARDS A
25 LOT MORE LUNG REPAIR. WE HAVE SOME LUNG REPAIR WORK

BARRISTERS' REPORTING SERVICE

1 GOING ON IN THE PORTFOLIO, BUT THIS WILL ACCELERATE
2 THAT INTEREST IN THAT AREA.

3 AND THE SECOND PAPER, I WANT TO DRAW YOUR
4 ATTENTION TO THE WAY THEY'RE WORKING NOW WITH
5 CHONDROGENESIS, WHICH IS REALLY THE FORMATION OF
6 CARTILAGE. AND CARTILAGE IS REALLY QUITE DIFFICULT
7 TO PRODUCE, AT LEAST ARTICULAR CARTILAGE; THAT IS,
8 THE CARTILAGE OF JOINTS. BECAUSE WHEN PEOPLE HAVE
9 BEEN TRYING TO REPAIR CARTILAGE IN THE PAST, THEY'RE
10 GETTING A SOFT CARTILAGE WHICH JUST DOESN'T DO IT.
11 IT DOESN'T STAY THERE FOR LONG. SO YOU'VE GOT TO
12 HAVE THE CRITICAL ARTICULAR CHONDROCYTES WHICH ARE
13 REQUIRED FOR JOINTS.

14 SO NONE OF THE CURRENT CELL-BASED REPAIR
15 STRATEGIES, INCLUDING EXPANDING THE ARTICULAR
16 CHONDROCYTES OR MSC'S OR ADIPOSE TISSUES, THE FAT
17 CELLS, SYNOVIUM, OR AMNIOTIC FLUID, HAVE GENERATED
18 LASTING HYALINE ARTICULATED CARTILAGE. NONE OF
19 THOSE HAVE DONE THAT, WHICH IS SURPRISING, BUT IT'S
20 JUST NOT WORKING THAT WELL.

21 WHAT WE NEED TO DO IS RECAPITULATE HUMAN
22 CARTILAGE DEVELOPMENT SO WE UNDERSTAND WHAT IT TAKES
23 TO MAKE THAT PARTICULAR CARTILAGE. AND IF YOU DON'T
24 UNDERSTAND THAT, I THINK IT IS HOPEFUL THAT THESE
25 OTHER CELLS WILL MAKE IT.

BARRISTERS' REPORTING SERVICE

1 SO STUDIES IN THIS PAPER, WHICH WAS
2 PUBLISHED BY THE GROUP FROM UCLA, IT'S A VERY NICE
3 PAPER IN *STEM CELL REPORTS* IN DECEMBER. THEY WERE
4 ABLE TO DEFINE THE CANDIDATE CELL SURFACE MARKERS
5 AND SIGNALING PATHWAYS OF PLURIPOTENTIAL CELLS GOING
6 TO MSC'S TO ARTICULAR CARTILAGE BECAUSE THE MSC'S
7 ARE IN THE PIPELINE, THEY'RE PART OF THE LINEAGE TO
8 GET THERE; BUT IF YOU GO FROM THE PLURIPOTENTIAL
9 CELLS THROUGH TO THAT, YOU CAN ACTUALLY SEE THE
10 ACTUAL CELLS THAT YOU REALLY NEED. AND YOU NEED TO
11 BE ABLE TO IDENTIFY THOSE CELLS USING PARTICULAR
12 MARKERS.

13 WELL, THIS IS WHAT THESE RESEARCHERS DID,
14 AND THEY USED LASER CAPTURE MICRODISSECTION FROM THE
15 DEVELOPING EMBRYONIC LIMB, WHICH IS RIGHT AT THE
16 TERMINAL PART OF THE LIMB, YOU WILL HAVE A REAL
17 CONCENTRATION OF CARTILAGE COMMITTED MESENCHYME OR
18 PRECHONDROCYTES. THEY'RE THE ONES THAT ARE GOING TO
19 FORM THE CARTILAGE. SO THAT'S WHERE YOU GET A HIGH
20 CONCENTRATION OF THE CELLS THAT YOU ARE LOOKING FOR.
21 AND IF YOU DO THE DIFFERENTIATION, STARTING ON THE
22 LEFT-HAND SIDE HERE, OF HUMAN PLURIPOTENTIAL STEM
23 CELLS, YOU CAN INDUCE MESODERM INDUCTION AND THEN
24 AGGREGATE THOSE CELLS AFTER YOU'VE SORTED FOR SOME
25 SPECIFIC MARKERS, AND THEN YOU HAVE A CHOICE THERE

BARRISTERS' REPORTING SERVICE

1 TO EITHER GO TO INDUCTION USING BONE MORPHOGENIC
2 PROTEINS 4 AND 7 OR GO DOWN THE TGF-BETA ONE OR LIFT
3 LINES.

4 AND ONE DIRECTION TAKES YOU INTO
5 MAINTENANCE OF THESE PRECHONDROCYTES, THESE ONES
6 THAT YOU ACTUALLY NEED FOR THIS WORK. AND THE OTHER
7 TAKES YOU INTO CHONDROCYTE MATURATION AND
8 HYPERTROPHY, WHICH IS WHERE MOST OF THE OTHER CELLS
9 ARE TAKING YOU.

10 SO WHAT YOU WANT IS TO SORT OF DRIVE THEM
11 INTO THE PRECHONDROCYTES IN CONCENTRATION SO YOU
12 HAVE THE PRECHONDROCYTE FOR THIS PARTICULAR
13 CARTILAGE IN A CONCENTRATED FORM. AND THAT'S WHAT
14 THEY'RE ABLE TO SHOW. SO THE RIGHT-HAND SIDE JUST
15 SHOWS YOU THE MESODERM INDUCTION PROTOCOL THAT THEY
16 HAVE. HERE IT SHOWS THEY'RE ARRANGING DIFFERENT
17 CELLS WITH DIFFERENT MARKERS ON THE CELL SURFACE,
18 AND THEY PRODUCE DIFFERENT TYPES OF COLLAGEN, SOX9,
19 ANOTHER MARKER, AND GDF 5. THEY'RE QUITE VARIABLE.
20 SO THESE CELLS ARE QUITE DIFFERENT IN THE LIMB. SO
21 YOU HAVE TO SELECT THE RIGHT CELLS.

22 SO THEY FOUND A UNIQUE COMBINATION OF
23 SURFACE MARKERS WHICH WAS CD 166, THE NEGATIVE OR
24 VERY LOW; CD 146, LOW TO NEGATIVE; CD 73 POSITIVE;
25 CD 44 LOW; AND THE BMP RECEPTOR 1B POSITIVE. THAT

BARRISTERS' REPORTING SERVICE

1 GROUP OF MARKERS DISTINGUISHES THE CELLS IN THE
2 DEVELOPING LIMB THAT FORM THESE CHONDROCYTIC
3 PROGENITORS. THAT'S THE SORT OF CELL TYPE THAT YOU
4 NEED TO BE LOOKING FOR.

5 AND IF YOU DIFFERENTIATE PLURIPOTENTIAL
6 STEM CELLS, THEY HAVE THESE CELLS IN THEIR CARTILAGE
7 PROGENITORS, AND THEY FORM THE HYALINE ARTICULATED
8 CARTILAGE. THEY'RE NOT FOUND IN MSC'S OR OTHER CELL
9 TYPES. SO YOU DON'T FIND THESE AMONGST OTHER CELL
10 TYPES, OR AT LEAST YOU CAN'T -- THE RESEARCHERS ARE
11 UNABLE TO FIND THESE PARTICULAR CELLS THERE.

12 SO YOU HAVE TO START WITH A PLURIPOTENTIAL
13 STEM CELL IF YOU WANT THIS ARTICULATED CARTILAGE.
14 IT'S FIRM ARTICULATED CARTILAGE WHICH IS NEEDED FOR
15 OSTEOARTHRITIS OR FOR REPAIR OF DAMAGED CARTILAGE.
16 AND I THINK IT'S AN IMPORTANT DEVELOPMENT IN THE
17 FIELD AND ONE THAT UNDERPINS US BETTER UNDERSTANDING
18 OF WHAT WE NEED IN THE AREA.

19 IN THE NEXT STUDY THERE WAS A DIRECT
20 PROGRAMMING. YOU'VE HEARD OF DIRECT PROGRAMMING IN
21 THE HEART WHERE YOU CAN CHANGE FIBROBLASTS OR SCAR
22 TISSUE IN THE HEART FROM A FIBROBLAST TYPE OF CELL
23 INTO A MUSCLE, HEART MUSCLE CELL. WELL, IN THIS
24 STUDY, THOUGH DOING THE DIRECT -- ATTEMPTING THE
25 DIRECT REPROGRAMMING OF REACTIVE GLIAL CELLS IN THE

BARRISTERS' REPORTING SERVICE

1 FUNCTIONAL NEURONS AFTER BRAIN INJURY OR ALZHEIMER'S
2 DISEASE. AND THEY WERE PARTICULARLY SUCCESSFUL IN
3 THIS. I THINK IT'S A VERY INTERESTING STUDY.

4 SO IN BRAIN INJURY, STROKES, SPINAL CORD
5 INJURY, GLIOMA, ALZHEIMER'S DISEASE, ETC., YOU GET
6 ACTIVATION AND PROLIFERATION OF GLIAL CELLS. SO
7 THESE ARE THE ASTROCYTES AND NG 2 CELLS. THESE ARE
8 ANOTHER SEPARATE TYPE OF BRAIN CELL, AND MICROGLIA
9 WHICH ARE NOT ESSENTIALLY FORMED FROM THE
10 NEUROCELLS, BUT FROM BLOOD CELLS AS A DEFENSE
11 AGAINST MICROORGANISM AND CYTOTOXINS IN THE BRAIN.
12 SO WHEN YOU'RE INJURED, THESE THINGS WILL ACTIVATE.
13 AND THESE INHIBIT NEURON FORMATION AND GROWTH. SO
14 THEY CAUSE WHAT IS KNOWN AS GLIAL SCARRING. SO IF
15 YOU HAVE THIS INJURY, THE RESPONSE APPEARS TO BE
16 THIS WAY.

17 THEY USED A RETROVIRAL EXPRESSION OF A
18 SINGLE NEURAL TRANSCRIPTION FACTOR CALLED NEURO D1
19 IN THE CORTEX OF BRAIN TO CONVERT THESE NEWLY FORMED
20 ASTROCYTES INTO GLUTAMATERGIC NEURONS AND NG 2 CELLS
21 INTO GLUTAMATERGIC AND GABAERGIC NEURONS IN BRAINS
22 OF DAMAGED MICE. AND SO THEY'RE ABLE TO USE THIS
23 VIRUS WHICH HAD THIS NEURO D1 FACTOR ENCASED IN IT.
24 AND THESE REACTIVE CELLS THAT TOOK UP THIS CONVERTED
25 THESE CELLS INTO NEURONS WHICH BOTH HAD INHIBITORY

BARRISTERS' REPORTING SERVICE

1 AND EXCITATORY CAPACITY.

2 AND THIS APPROACH IS A NONGRAFTING
3 APPROACH FOR NEURONS WHICH BALANCE MAYBE EXCITATION
4 INHIBITION IN THE CORTEX. AND YOU NEED THAT BALANCE
5 IF YOU ARE GOING TO DO THIS. AND WE THINK AND THE
6 AUTHORS THINK THAT THIS MAY BE A REPAIR THAT MIGHT
7 AFFECT BEHAVIORAL AND COGNITIVE DEFECTS THAT HAPPEN
8 WITH BRAIN DISEASE. SO I THINK IT'S A PRETTY
9 IMPORTANT DEVELOPMENT. THIS IS A NONTRANSPLANTATION
10 MECHANISM, SO USING A VIRUS TO CONVERT DIRECTLY
11 THESE NEW CELLS INTO NEURONS THAT HAVE THESE BOTH
12 EXCITATORY AND INHIBITORY CAPACITIES WHICH SHOULD
13 RETURN, IF YOU LIKE, THE BEHAVIORAL AND COGNITIVE
14 DEFECTS THAT ARE CAUSED IN THIS. THIS HAS TO BE
15 PROVEN AS YET, BUT THE VIEW IS THAT THAT'S A STRONG
16 POSSIBILITY.

17 AND THEN THE LAST ONE IS A SPECIAL
18 POPULATION OF, AGAIN, IMMUNE CELLS FOR REGULATORY
19 T-CELLS WHICH APPEAR TO POTENTIATE MUSCLE REPAIR.
20 AND MUSCLE REPAIR IS REALLY IMPORTANT IN INJURY AND
21 IN DISEASE. SO THESE REGULATORY T-CELLS OR T-REGS
22 ACCUMULATE IN THE MUSCLE OF INJURED MICE AND, WHEN
23 THEY'RE INVADING MYELOID CELLS, SWITCH FROM
24 PRO-INFLAMMATORY STATE TO A PRO-REGENERATIVE STATE.
25 SO THAT'S WHAT YOU WANT. YOU WANT THOSE CELLS WHEN

BARRISTERS' REPORTING SERVICE

1 THEY'RE DOING THAT.

2 THE SAME THING HAPPENS IN DYSTROPHIC MICE.
3 SO DEPLETION OF THESE T-REGS PROLONGS THE
4 INFLAMMATORY STATE, WHICH IS NOT WHAT YOU WANT, AND
5 IMPAIRS MUSCLE REPAIR. SO YOU NEED THESE T-REGS TO
6 APPEAR IN THIS MUSCLE IF YOU WANT TO STOP
7 INFLAMMATION AND GET ON WITH THE REPAIR PROCESS.

8 HOWEVER, IT APPEARS TO BE OPPOSITE IN THE
9 DYSTROPHIC MICE. SO INCREASED T-REGS DIMINISHES
10 MUSCLE REPAIR, AND DECREASED T-REGS ACTIVITY
11 ENHANCES MUSCLE DAMAGE. SO THERE'S AN OPPOSITE
12 BUILT IN WHERE YOU'VE GOT A LACK OF DYSTROPHIN. SO
13 THE MUSCLE T-REG CELLS ARE EXPRESSING AMPHIREGULIN
14 WHICH ACTS DIRECTLY ON MUSCLE STEM CELLS TO IMPROVE
15 REPAIR. SO AT LEAST IN CONDITIONS OF WANTING TO
16 REPAIR MUSCLE, THIS SEEMS TO BE A GOOD OPPORTUNITY
17 TO USE T-REGS OR STIMULATE THESE T-REGS AND THEIR
18 PRODUCTS FOR WOUND REPAIR. INTERESTING PAPER.

19 SO MOVING ON INTO OUR RFA PROGRAMS, THE
20 BASIC BIOLOGY, THERE'S A DECISION IN THIS MEETING
21 AND GENOMES IN THIS MEETING. THE STRATEGIC
22 PARTNERSHIP III, THE GRANTS REVIEW OF APPLICATIONS
23 THIS NEXT WEEK, I THINK, IN FEBRUARY, ONLY ABOUT
24 FIVE DAYS, SIX DAYS AWAY. RESEARCH LEADERSHIP
25 EXTENSION, THOSE WILL BE REVIEWED BY THE GRANTS

BARRISTERS' REPORTING SERVICE

1 WORKING GROUP IN MARCH. ALPHA CLINICS, THE GRANTS
2 WORKING GROUP WILL BE IN JUNE. AND THE TOOLS AND
3 TECHNOLOGIES III, THE GRANTS WORKING GROUP REVIEW
4 WILL BE IN SEPTEMBER. AND ACCELERATION PATHWAYS,
5 THE GRANTS WORKING GROUP REVIEW WILL BE IN JUNE.

6 SO I'M GOING TO HAND OFF TO ELONA, IF I
7 CAN. SHE WAS AT THE JP MORGAN CONFERENCE, AS YOU
8 HEARD EARLIER, JUST TO GIVE THESE NEXT FEW SLIDES.

9 MS. BAUM: OKAY. THANK YOU, ALAN.
10 WELCOME BACK. IT'S TERRIFIC HAVING YOU HERE AGAIN.
11 AND, BOARD, THANK YOU FOR YOUR ATTENTION. IT WAS
12 VERY EXCITING, AS J.T. MENTIONED, AT THE JP MORGAN
13 CONFERENCE. WE'VE DONE THIS FOR A COUPLE YEARS NOW.
14 WE'VE ACTUALLY RENTED A LITTLE HOTEL SO WE COULD
15 HAVE SOME VERY CONFIDENTIAL MEETINGS. EVERYBODY
16 DOES IT THAT WAY, AND IT'S BECOME SORT OF A WAY OF
17 DOING BUSINESS. IT'S THE CONFERENCES AROUND THE
18 CONFERENCE THAT REALLY MATTER. AND WE'VE GOTTEN A
19 LOT OF GREAT RESPONSES.

20 NEIL AND I WERE DIVIDING AND CONQUERING
21 THROUGHOUT THE WEEK. AND IT GOES FROM EARLY MORNING
22 BREAKFAST TO DINNERS, AND A LOT GETS ACCOMPLISHED IN
23 THAT TIME.

24 SO SUFFICE IT TO SAY WE HAD A NUMBER OF
25 MEETINGS. THERE WAS THIS RENEWED ENERGY AROUND THE

BARRISTERS' REPORTING SERVICE

1 FIELD, AND I LIKE TO THINK SOME OF IT HAS TO DO WITH
2 THE FACT THAT IN THE FIRST WEEK OF JANUARY TWO OF
3 OUR FUNDED PROGRAMS, CAPRICOR AND SANGAMO, WERE
4 ACTUALLY PARTNERED. AND THAT'S A -- WE'VE BEEN
5 WAITING FOR THIS FOR A LONG TIME. IT TAKES ABOUT A
6 YEAR FROM THE START OF NEGOTIATIONS TO ACTUALLY
7 SEEING THE FRUIT. AND NOW WE HAVE SOME FRUIT, AND
8 IT'S CREATING A LOT OF EXCITEMENT, AND I THINK IT'S
9 HELPING TO VALIDATE CIRM'S PROGRAMS, THE FIELD IN
10 GENERAL.

11 I COULD GIVE YOU A LOT OF THE STATISTICS
12 ABOUT WHAT THE FIELD LOOKS LIKE, BUT I THINK WHAT'S
13 EVEN MORE IMPORTANT THAN THE SLIDES I HAVE UP AND
14 PROVIDED TODAY IS WHAT I HEARD JUST TWO DAYS AGO AT
15 FACILITATE BECAUSE THAT'S ANOTHER SEMINAL
16 CONFERENCE. AND UP ON THE PODIUM OF SPEAKERS EVENTS
17 WERE J & J, PFIZER, NOVARTIS, BIOGENETIC, AND THEY
18 WERE ASKED TWO VERY POINTED QUESTIONS. ONE, HOW
19 MANY OF YOU OUT OF A SCORE OR A RATING OF ONE TO TEN
20 WILL BE INVESTING IN THIS FIELD THIS YEAR IN A
21 PROGRAM? AND THEY ALL RAISED THEIR HAND EXCEPT FOR
22 PFIZER WITH AN 8.7. EVERYONE WAS A NINE TO TEN. SO
23 THEY'RE LOOKING TO INVEST IN COLLABORATION. SO I
24 THINK THAT'S A VERY GOOD SIGN. AND NOT TOO MANY OF
25 THEM, MAYBE ONE OR TWO APIECE, BUT I THINK IT SHOWS

BARRISTERS' REPORTING SERVICE

1 THAT THIS FIELD IS MATURING AND ATTRACTING NOT ONLY
2 PHARMA INVESTORS, BUT ALSO THE EQUITY MARKETS ARE
3 OPENING UP AND HELPING IT AS WELL.

4 NOW, WE ALSO HEARD A LIST OF THE DIFFERENT
5 PROGRAMS THAT WILL ACTUALLY HAVE CLINICAL READOUTS.
6 THERE'S MANY OF THEM, PROBABLY AT LEAST HALF A DOZEN
7 TO A DOZEN, THIS YEAR IN OUR FIELD. AND I THINK
8 THAT WILL HAVE A LOT OF IMPACT ON THE WILLINGNESS
9 AND THE EXCITEMENT OF PHARMA TO COME IN EARLY STAGE.
10 SO WE'RE HOPING FOR A VERY GOOD RESULT IN THAT
11 REGARD. AND HAPPY TO ANSWER ANY OTHER QUESTIONS AS
12 THEY COME UP.

13 DR. TROUNSON: THANKS, ELONA. YOU KNOW,
14 IN ALL OF THE DISCUSSIONS I'VE BEEN HAVING WITH
15 INDUSTRY AS WELL IS THERE'S MORE AND MORE INTEREST
16 IN CELL THERAPIES, BUT ALSO UNDERPINNING WORK THAT'S
17 COMING FROM SMALL MOLECULES AS WELL AS MONOCLONAL
18 ANTIBODIES, PARTICULARLY IN THE CANCER FIELD, THAT
19 ARE REALLY BASED ON THE STEM CELL DEVELOPMENTS THAT
20 ARE ARISING OUT OF HERE AND ELSEWHERE. SO A LOT OF
21 POSITIVE FEELING IN THE AREA.

22 AND I THINK NOW IT'S QUITE POSSIBLE, I
23 THINK, THAT SOME OF THE MAJOR PHARMA WILL END UP
24 LINKING WITH US IN DIFFERENT WAYS, IN VERY DIFFERENT
25 WAYS. SO I THINK THE PAYOLA WILL START TO COME,

BARRISTERS' REPORTING SERVICE

1 ELONA, THIS YEAR AND NEXT YEAR, AND IT SHOULD BE A
2 REALLY INTERESTING TIME. YEAH, I THINK IT'S GOING
3 TO BE FANTASTIC.

4 SO THERE'S A FUTURE MEETING PROGRAMMED ON
5 THE ETHICS WORKSHOP MARCH 5TH AND 6TH HERE IN
6 BERKELEY. AND SO THE ORGANIZERS OF CIRM, NIH, AND
7 THE CENTER FOR GENOMICS AND POLICY, MCGILL
8 UNIVERSITY, AND THEY'RE GOING TO DISCUSS A PROJECT
9 DERIVING INDUCED STEM CELLS USING STORED SPECIMENS,
10 AND IT REALLY COMES FROM THE IOM RECOMMENDATIONS,
11 THAT CIRM DRAW ON THE EXPERTISE OF OTHERS TO
12 STRENGTHEN ITS ETHICAL STANDARDS FOR DONOR CONSENT.
13 SO IT'S ONE OF THE IOM RECOMMENDATIONS THAT WE'RE
14 REALLY ADDRESSING HERE.

15 A KEY ETHICS POLICY CONSIDERATION IS THE
16 EXTENT TO WHICH THE DONOR INFORMED CONSENT
17 ASSOCIATED WITH STORED SPECIMENS IS APPROPRIATE FOR
18 THE DERIVATION AND BANKING OF NEW IPS CELL LINES.
19 AND CIRM IS WORKING WITH DISCUSSING COLLABORATIONS
20 TO DEVELOP POINTS TO CONSIDER FOR INFORMED CONSENT.
21 SO IT WOULD BE AN INTERESTING MEETING FOR SOME OF
22 THE MEMBERSHIP OF THE BOARD IF THEY'D LIKE TO VISIT
23 WITH THAT WORKSHOP. IT MIGHT WELL BE OF INTEREST TO
24 SOME OF YOU.

25 AND I THINK THE GROWTH IN THE IPS CELL

BARRISTERS' REPORTING SERVICE

1 LINE BANKING IS REALLY QUITE EXTRAORDINARY, THE
2 INTEREST. WORLDWIDE INTEREST IS GROWING VERY
3 RAPIDLY. SO EVERYONE AROUND THE WORLD IS NOW VERY
4 MUCH INTERESTED IN BANKING IPS CELLS, BUT THE
5 DISEASE STUDIES, AS WE'VE DONE, ALSO IN GETTING
6 THESE HAPLOTYPE IPS CELLS BANKED AS WELL.

7 DURING THE WORKSHOP, THIS PARTICULAR
8 WORKSHOP, THERE WILL BE INFORMAL SESSIONS TO REVIEW
9 RECENT DEVELOPMENTS AND TO DRIVE POINTS TO CONSIDER,
10 AND COMMENTS TO DATE WILL BE REVIEWED BY WORKSHOP
11 PARTICIPANTS WITH A GOAL OF BUILDING CONSENSUS
12 REGARDING STANDARDS FOR DONOR INFORMED CONSENT. AND
13 THE GOAL OF THE WORKSHOP IS TO PUBLISH THE FINAL
14 POINTS TO CONSIDER, BASED ON THIS FINAL POINTS FOR
15 CONSIDERATION BASED ON THE CONSENSUS BUILDING
16 PROCESSES. SO WE SHOULD HAVE SOME REASONABLE
17 DOCUMENT IN THIS AREA THAT WILL BE USEFUL FOR PEOPLE
18 AROUND THE WORLD, I THINK. AND HOPEFULLY WE'LL
19 REALLY HAVE THE CONSENSUS AGREEMENTS FROM ALL OF THE
20 PARTICIPANTS.

21 SO NOW I WANT TO PASS ON TO THE
22 COLLABORATIVE FUNDING PARTNER PROGRAM, WHICH IS
23 BEING RUN BY IAN SWEEDLER AND MYSELF. AND WE'VE
24 BEEN BUSY IN THIS AREA. SO NEW COLLABORATIVE
25 FUNDING PARTNERS ARE CHILI AND THE STATE OF SAO

BARRISTERS' REPORTING SERVICE

1 PAULO AND BRAZIL. SO WE'VE SIGNED AGREEMENTS WITH
2 BOTH OF THOSE, THOSE NEW COLLABORATIVE FUNDING
3 PARTNERS.

4 IN TOOLS AND TECHNOLOGIES III, THERE'S A
5 GOOD FIT FOR COLLABORATIONS. SO PROJECTS OFTEN
6 REQUIRE MULTIDISCIPLINARY APPROACHES THERE. AND TO
7 RECEIVE PREAPPLICATIONS WITH PARTNERS FROM
8 AUSTRALIA, CHILI, CHINA, GERMANY, NIH, AND SAO
9 PAULO. SO HOPEFULLY SOME OF THOSE WILL END UP FOR
10 YOU TO CONSIDER LATER ON, THE TOOLS AND TECHNOLOGIES
11 PROGRAM. AND THAT COLLABORATIVE FUNDING PROGRAM, I
12 THINK, I KNOW IS GOING TO PRESENT YOU WITH A SUMMARY
13 OF THE PROGRAM AND HOW WELL IT'S WORKED SOMETIME IN
14 THE NEAR FUTURE. BUT I THINK IT'S AN EXTREMELY
15 USEFUL AND VERY EFFECTIVE PROGRAM FROM MY POINT OF
16 VIEW, SO I'LL BE INTERESTED TO SEE WHAT THE ANALYSIS
17 COMES UP AND TO PRESENT IT TO YOU IN A FORM THAT YOU
18 CAN ASSESS AS WELL.

19 SO THE CFP'S IN THE DISEASE TEAM III, OF
20 THE SIX DISEASE TEAM III AWARDS APPROVED THIS MONTH,
21 FOUR WERE BASED ON SUCCESSFUL CIRM COLLABORATIVE
22 FUNDING PARTNERED DISEASE TEAM I AWARDS. SO FOUR OF
23 THOSE. SO THAT'S A VERY GOOD INDICATOR OF HOW WELL
24 THOSE TEAMS HAVE PERFORMED OUT OF THE DISEASE TEAMS.
25 AND ONE BUILDS ON WORK OF THE CFP-FUNDED

BARRISTERS' REPORTING SERVICE

1 INVESTIGATOR. SO THESE THINGS ARE DOING REALLY
2 WELL, AND THAT'S WHERE YOU GET THE BEST SCIENTISTS
3 FROM AROUND THE WORLD WORKING WITH THE CALIFORNIANS.
4 AND THAT REALLY DOES LIFT THE WHOLE PROGRAM YET
5 AGAIN. SO NOT ONLY HAVE WE GOT SOME OF THE BEST
6 SCIENTISTS, NO DOUBT, IN THE WORLD, MOST EFFECTIVE
7 PROGRAMS, WE'RE GETTING INPUT FROM THE BEST
8 SCIENTISTS AROUND THE WORLD. SO I THINK IT'S A
9 WONDERFUL PROGRAM AND HAS WORKED VERY EFFECTIVELY.

10 CAN I ASK ELLEN TO PRESENT TO YOU THE
11 ACCELERATED PATHWAY UPDATE?

12 DR. FEIGAL: HI. THANKS VERY MUCH AND
13 WELCOME TO THE NEW MEMBERS. I'LL TRY TO ENCAPSULATE
14 WHAT WE'RE DOING HERE SO IT CAN BRING YOU INTO THE
15 LOOP AS TO WHAT WE'RE DOING. BUT LAST MONTH THE
16 BOARD APPROVED A DECISION TO CREATE A PATHWAY CALLED
17 THE ACCELERATED PATHWAY WITH THE INTENT BEING REALLY
18 TO BRING OUR TOP-RATED SIX TO EIGHT PROJECTS THAT
19 HAVE THE POTENTIAL TO REACH CLINICAL PROOF OF
20 CONCEPT WITHIN THE NEXT SEVERAL YEARS TO GIVE THEM
21 AN OPPORTUNITY TO ACCELERATE THEIR ABILITY TO REACH
22 CLINICAL PROOF OF CONCEPT. AND THIS BOARD APPROVED
23 A \$200 MILLION SET ASIDE TO GO INTO THAT ACCELERATED
24 PATHWAY.

25 WHAT I'M DOING TODAY IS JUST GIVING YOU AN

BARRISTERS' REPORTING SERVICE

1 UPDATE, SO PART OF CIRM'S RAPID RESPONSE PROGRAM TO
2 YOUR DECISION. WE WANTED TO RAPIDLY GET BACK TO YOU
3 WITH HOW WE'RE IMPLEMENTING YOUR DECISION. SO THE
4 INTENT REALLY IS TO ACCELERATE THE TIME IT TAKES TO
5 ACHIEVE CLINICAL PROOF OF CONCEPT. THIS REALLY
6 ALIGNS WITH CIRM'S 2012 STRATEGIC PLAN TO ACHIEVE
7 CLINICAL PROOF OF CONCEPT WITHIN 2017. THIS WAS
8 RECOMMENDED BY OUR EXTERNAL SCIENTIFIC ADVISORY
9 BOARD WHICH WE HELD BACK IN AUGUST OF LAST YEAR AND
10 STRONGLY RECOMMENDED WE HAVE AN ACCELERATED WAY TO
11 ALLOW THESE SUCCESSFUL PROGRAMS TO BE ABLE TO
12 CONTINUE TO MOVE TOWARDS CLINICAL PROOF OF CONCEPT.

13 WE THINK THAT ACHIEVING -- WE ALL AGREE
14 THAT ACHIEVING CLINICAL PROOF OF CONCEPT IN A VERY
15 EXPEDITIOUS MANNER WILL BE MEANINGFUL TO PATIENTS
16 AND TO THE PUBLIC AT LARGE. AND WE ALWAYS HAVE TO
17 REMEMBER THOSE ARE THE PEOPLE WHO CREATED THIS
18 AGENCY IN THE FIRST PLACE. AND IT'S ALSO A VERY
19 IMPORTANT INFLECTION POINT FOR ATTRACTING INVESTORS
20 AND MOVING TOWARDS COMMERCIALIZATION.

21 SO LET ME JUST GIVE YOU AN UPDATE ON THE
22 PROCESS. WE'RE GOING TO FOLLOW STANDARD PROCEDURES,
23 WHICH YOU'RE ALREADY VERY FAMILIAR WITH, WHICH WE'VE
24 USED TIME AND TIME AGAIN. SO WE'RE NOT CREATING A
25 NEW PROCESS FOR HOW THIS WILL BE DONE. WE'RE GOING

BARRISTERS' REPORTING SERVICE

1 TO BE SENDING OUT A PROGRAM ANNOUNCEMENT NEXT MONTH
2 TO THE DISEASE TEAMS AND TO THE STRATEGIC
3 PARTNERSHIPS THAT ARE CURRENTLY FUNDED FOR
4 COMPLETION OF A CLINICAL TRIAL. WE'RE GOING TO HAVE
5 THESE APPLICANTS PUT TOGETHER A DETAILED PROPOSAL
6 ABOUT WHAT THE KEY DEVELOPMENT ACTIVITIES WOULD BE
7 TO ACCELERATE THEIR PROGRESS. THIS IS NOT TO
8 DUPLICATE WHAT THEY'RE ALREADY FUNDED TO DO. THIS
9 IS THE SELECTED KEY ACTIVITIES THAT COULD ACTUALLY
10 ACCELERATE THEIR PROJECT.

11 IN ADDITION, FOR THOSE PROJECTS WHERE IT'S
12 APPROPRIATE AND WHERE THEY'RE MEETING THEIR
13 MILESTONES, THEY'RE ALSO GOING TO INCLUDE A CLINICAL
14 PROOF OF CONCEPT PHASE II TRIAL. AND THEY'RE ALSO
15 GOING TO PROVIDE A DETAILED BUDGET FOR ALL OF THESE
16 ADDITIONAL ACTIVITIES. THAT IS WHAT THE GWG IS
17 GOING TO REVIEW IN JUNE. AND THEN THOSE
18 RECOMMENDATIONS FROM THE GWG ARE GOING TO BE
19 PROVIDED TO THE ICOC. THEY'RE GOING TO BE PROVIDED
20 TO YOU ALONG WITH PROGRAMMATIC CONSIDERATIONS FOR
21 YOU, THE BOARD, TO MAKE A DECISION.

22 AND THE SAME OVERSIGHT PROCEDURES ARE
23 GOING TO BE IN PLACE FOR THESE PROJECTS. THEY'RE
24 GOING TO HAVE TO GO THROUGH GO/NO-GO AND PROGRESS
25 MILESTONES. THEY'RE GOING TO HAVE ASSESSMENTS BY

BARRISTERS' REPORTING SERVICE

1 OUR CLINICAL DEVELOPMENT ADVISORY PANEL, WHICH IS A
2 PANEL OF EXPERTS WITH PRECLINICAL, REGULATORY,
3 SCIENTIFIC, TECHNICAL, COMMERCIAL RELEVANCE,
4 MANUFACTURING EXPERTISE. SO THEY'RE GOING TO HAVE
5 ACCESS TO ALL THOSE EXPERT ADVISORS AS WELL.

6 IN ADDITION, WE SAID WE'RE GOING TO HAVE A
7 POROUS PATHWAY. SO I'M GOING TO BE COMING TO YOU
8 NEXT MONTH WITH A CONCEPT FOR THE NEXT ROUND OF
9 DEVELOPMENT TEAMS, WHICH YOU'LL HAVE THE OPPORTUNITY
10 TO COMMENT UPON AND MAKE YOUR SUGGESTIONS ON, SO
11 THAT THERE WILL BE AN ADDITIONAL AVENUE FOR NEW
12 GRANTEES IN SUBSEQUENT ROUNDS OF TOOLS AND
13 TECHNOLOGIES OR STRATEGIC PARTNERSHIPS OR WHAT WE
14 ARE NOW TERMING THE DEVELOPMENT TEAMS WITH A
15 SUFFICIENT CIRM TRACK RECORD SO THAT WE CAN ASSESS
16 THE EXECUTION AND DEVELOPMENT OF THEIR PROGRAMS AND
17 THEIR POTENTIAL TO REACH CLINICAL PROOF OF CONCEPT,
18 THAT THOSE TWO MAY BE ELIGIBLE IN FUTURE ROUNDS TO
19 ENTER INTO THE ACCELERATED PATHWAY.

20 SO THIS IS JUST AN UPDATE OF WHAT WE'VE
21 PUT INTO PLACE SINCE YOUR DECISION LAST MONTH. ARE
22 THERE ANY QUESTIONS? YES.

23 DR. JUELSGAARD: SO, ELLEN, IN SENDING OUT
24 TO THE DIFFERENT DISEASE TEAMS AND STRATEGIC
25 PARTNERSHIPS, ASKING THEM TO SUBMIT PROPOSALS, ARE

BARRISTERS' REPORTING SERVICE

1 WE IDENTIFYING THE CRITERIA THAT THE GWG WILL USE,
2 THE SPECIFIC CRITERIA, AS WELL AS THE WEIGHTING OF
3 THOSE PARTICULAR CRITERIA IN TERMS OF MAKING ITS
4 ASSESSMENTS ON WHICH PROJECTS TO PUT INTO THIS
5 CATEGORY?

6 DR. FEIGAL: YEAH. AS YOU RECALL, IN
7 DECEMBER WE GAVE YOU A LIST OF WHAT THE REVIEW
8 CRITERIA WOULD BE, AND WE OFFERED THE OPPORTUNITY IF
9 THE BOARD WANTED TO PROVIDE ANY INPUT, FOR EXAMPLE.
10 I THINK OS STEWARD HAD LOOKED THROUGH IT. HE DIDN'T
11 HAVE ANY ADDITIONAL COMMENTS TO ADD. BUT WE DID
12 HAVE AN OPPORTUNITY FOR YOU TO COMMENT.

13 NORMALLY WHEN WE PUT OUT RFA'S, WE
14 ACTUALLY DON'T PRESENT REVIEW CRITERIA TO THE BOARD,
15 BUT WHAT WE WILL DEFINITELY DO IN THE ANNOUNCEMENT
16 TO THE APPLICANTS IS WE'LL LET THEM KNOW WHAT THE
17 REVIEW CRITERIA ARE.

18 DR. JUELSGAARD: OKAY. IS THERE A CHANCE
19 TO SEE THAT --

20 DR. FEIGAL: ABSOLUTELY.

21 DR. JUELSGAARD: -- IN ADVANCE? THANKS.

22 DR. FEIGAL: JEFF.

23 MR. SHEEHY: WELL, I DO KIND OF -- I
24 DIDN'T REALLY RECOGNIZE THAT WE WERE APPROVING A
25 CONCEPT PLAN WITH KIND OF THE DETAILS. I THOUGHT

BARRISTERS' REPORTING SERVICE

1 THAT THERE WERE A LOT OF IDEAS PUT OUT FOR POTENTIAL
2 REVIEW CRITERIA, BUT WE DO WANT THIS PROGRAM TO GO
3 FORWARD.

4 ONE OF MY CONCERNS, THOUGH, I DON'T KNOW
5 HOW SOMEONE WHO'S ENTERING THE PHASE I CLINICAL
6 TRIAL COULD REALLY PUT TOGETHER A GOOD PACKAGE FOR A
7 PHASE II CLINICAL TRIAL TILL THE PHASE I IS
8 COMPLETED. I MEAN IS IT JUST ME THAT WHAT HAPPENS
9 IN PHASE I IS REALLY GOING TO HAVE A SIGNIFICANT
10 IMPACT ON HOW YOU DESIGN YOUR PHASE II TRIAL? I
11 JUST --

12 DR. FEIGAL: MAY I COMMENT ON THAT? IS
13 THAT A QUESTION? CAN I COMMENT ON THAT?

14 MR. SHEEHY: SURE. I WAS GOING TO MAKE A
15 QUESTION, BUT YOU CAN COMMENT ON IT.

16 DR. FEIGAL: GO AHEAD, JEFF.

17 MR. SHEEHY: NO. GO AHEAD, PLEASE.

18 DR. FEIGAL: SO IF THE QUESTION IS WHAT WE
19 OFTEN DO, ALSO PART OF THE APPLICATION, WILL BE
20 ACTUALLY NOT JUST THE PHASE II, BUT THINKING THROUGH
21 THEIR DEVELOPMENT ACTIVITIES. IT'S ALWAYS GOING TO
22 BE SPECULATION DEPENDING ON WHAT THE RESULTS ARE.
23 BUT GENERALLY IN PRODUCT DEVELOPMENT, YOU DO HAVE TO
24 THINK AHEAD AND THINK THROUGH WHAT THE STEPS ARE TO
25 GET TO WHERE YOU WANT TO GO. AND ABSOLUTELY IF THE

BARRISTERS' REPORTING SERVICE

1 PHASE I SHOWS THAT IT'S NOT SAFE, WELL, THEN, THE
2 REST OF THE DISCUSSION IS SOMEWHAT OF A MOOT POINT.
3 BUT IF YOU MAKE THE ASSUMPTION THAT THE WAY YOU'VE
4 DESIGNED YOUR PHASE I IS GOING TO GET YOU AT A SAFE
5 DOSE AND THAT IT'S TOLERATED AND FEASIBLE, YOU
6 ABSOLUTELY DON'T WANT TO WAIT TILL THE END OF IT.
7 YOU DO HAVE TO BE THINKING ABOUT WHAT THAT PHASE II
8 CLINICAL PROOF OF CONCEPT CLINICAL TRIAL NEEDS TO
9 LOOK LIKE.

10 AND ACTUALLY MOST GROUPS WHO WORK IN
11 PRODUCT DEVELOPMENT ARE DOING THAT. SO VERY TRUE
12 THAT THE RESULTS CAN INFORM HOW YOU MIGHT RESHAPE OR
13 REFINE IT, BUT THEY DO HAVE TO HAVE A SENSE OF WHERE
14 THEY WANT TO GO. AND WE DO THINK THEY'LL BE ABLE
15 TO. AS A MATTER OF FACT, WITH DISEASE TEAM III, WE
16 ACTUALLY HAD TO TAKE OUT SOME THINGS THAT WE SAID
17 WERE OUT OF SCOPE BECAUSE SOME PEOPLE WERE ASKING
18 FOR FUNDING FOR THOSE FUTURE TRIALS.

19 SO I THINK THAT THE GROUPS WILL BE WELL
20 POISED TO PUT DOWN THEIR IDEAS OF WHAT THOSE
21 CLINICAL PROOF OF CONCEPT TRIALS COULD LOOK LIKE AND
22 WHAT THE BUDGET FOR THEM WOULD BE. BUT ABSOLUTELY
23 THEY'RE DEPENDENT ON MEETING MILESTONES. SO ALL
24 THOSE THINGS ARE CONDITIONAL AND CAVEAT ON BEING
25 ABLE TO MOVE FORWARD. AND THAT'S GOING TO BE PART

BARRISTERS' REPORTING SERVICE

1 OF THEIR PROPOSAL. THEY'LL HAVE TO PUT IN WHAT THE
2 MILESTONES ARE AND WHAT KIND OF INFORMATION THEY
3 NEED TO SEE TO MAKE A DECISION ABOUT WHETHER OR NOT
4 THEY GO TO THE NEXT STEP, AND WE ASSESS THAT.

5 SO WE'LL BE SEEING THE DATA. WE'LL BE
6 LOOKING AT ALL THOSE THINGS. SO WE'RE GOING TO BE
7 ASSESSING THAT JUST LIKE WE DO.

8 IF YOU LOOK AT OUR CURRENT DISEASE TEAM
9 IIS, THEY'RE CROSSING A MILESTONE FROM IND FILING TO
10 DOING THE CLINICAL TRIAL. IT COULD BE AT THE IND
11 FILING THE FDA IS GOING TO COME UP WITH NEW ISSUES
12 OR NEW QUESTIONS THAT THEY DIDN'T PREDICT, AND
13 THEY'RE GOING TO HAVE TO TAKE THOSE INTO
14 CONSIDERATION TO RESHAPE OR REFINE THAT PHASE I
15 TRIAL. SO IT'S NEVER BLACK AND WHITE. IT'S GOING
16 TO BE CONDITIONAL ON WHAT THE DATA SHOWS. I DON'T
17 KNOW IF THAT ANSWERED YOUR QUESTION, BUT I WAS
18 TRYING TO SAY BASICALLY THEY HAVE TO THINK AHEAD AND
19 PUT DOWN WHAT THE OPTIONS COULD BE SHOULD THE DATA
20 COOPERATE.

21 DR. LUBIN: I JUST WANTED TO COMMENT ON
22 DR. TROUNSON'S PRESENTATION. YOU KNOW, WITH THE FLU
23 NOW, MOST MEDICAL CENTERS ARE GETTING MORE AND MORE
24 PATIENTS THAT REQUIRE EXTRACORPOREAL MEMBRANE
25 OXYGENATION TO SURVIVE. AND SO THIS APPROACH OF

BARRISTERS' REPORTING SERVICE

1 LUNG, SOME NEW LUNG THERAPIES ARE REALLY CRITICAL.
2 AND I THINK THAT I'M SURE THE GROUPS THAT ARE DOING
3 THAT ARE THINKING ABOUT THIS, BUT I THINK THAT
4 THAT'S A VERY IMPORTANT STEP BECAUSE WE'RE SEEING
5 WORSE FLU THIS YEAR THAN WE'VE SEEN FOR A LONG TIME.

6 THE SECOND POINT THAT I WANTED TO MAKE IS
7 AN IDEA THAT PROBABLY WE'VE DONE, BUT IF NOT, I'D
8 LIKE TO KNOW HOW MANY CELLULAR THERAPY PROGRAMS WE
9 HAVE IN THE STATE OF CALIFORNIA AND WHAT THE IMPACT
10 OF CIRM HAS BEEN ON THOSE. THIS IS A GOOD THING TO
11 KEEP IN MIND AS WE LOOK FOR SOMETHING FORWARD THAT'S
12 IMPROVED CARE, DECREASING COSTS, AND I THINK JUST
13 HAVING A HANDLE ON HOW MANY BECAUSE MORE AND MORE
14 PLACES ARE THINKING ABOUT STARTING OR IN IT OR
15 EXPANDING, AND THIS COULD INCLUDE BONE MARROW
16 TRANSPLANT, WHICH IS A CELLULAR THERAPY.

17 I JUST THINK TO SHOW THE IMPACT THAT WE
18 HAVE ON THE HEALTH OF THE STATE OF CALIFORNIA WOULD
19 BE A NICE PIECE OF DATA AS WE LOOK FOR FUTURE
20 FUNDING. AND MAYBE WE'VE DONE THAT; BUT IF NOT,
21 THIS WOULD BE A GOOD TIME TO THINK ABOUT IT.

22 DR. TROUNSON: I THINK YOU MAKE A VERY
23 GOOD POINT. WE WILL BE COLLECTING THAT INFORMATION,
24 AND WE SHOULD PRESENT IT IN THAT KIND OF FORM AS YOU
25 SUGGESTED. WE'LL BE COLLECTING THAT AT THE ALPHA

BARRISTERS' REPORTING SERVICE

1 CLINICS BECAUSE MOST OF THE CELL THERAPIES WILL BE
2 COMING IN THROUGH ALPHA CLINICS. SO WE REALLY NEED
3 TO KNOW WHAT ARE THE CELL THERAPIES IN CALIFORNIA.
4 THEY'RE NOT ALL IN OUR PORTFOLIO BECAUSE THERE ARE A
5 LOT OF MSC STUDIES THAT WE DON'T BACK AND SO ON.
6 WE'LL MAKE A TOTAL LIST, AND A NUMBER OF THESE
7 COMPANIES, AS I SAID, AT LEAST ONE OF THOSE IN THAT
8 BILLION-DOLLAR FRAMEWORK ARE DOING A NUMBER OF
9 STUDIES THAT INCLUDE THE WORK IN CALIFORNIA.

10 SO I THINK, YES, WE CAN GET THAT DATA
11 BECAUSE WE REALLY NEED IT IN OUR BASE FRAMEWORK FOR
12 THE ALPHA CLINICS AND DRAWING THOSE INTO THAT
13 PROGRAM. SO WE SHOULD DO THAT SOONER RATHER THAN
14 LATER. AND IT'S NOT A HUGE LIST AT THE MOMENT. SO
15 IT IS DOABLE.

16 DR. FEIGAL: I JUST WANT TO SAY WE
17 ACTUALLY HAVE -- WE DO HAVE THAT INFORMATION. AND
18 ONE OF THE THINGS WE'RE THINKING ABOUT MAYBE AS PART
19 OF A DEVELOPMENT UPDATE AT THE MARCH MEETING IS WE
20 COULD BRING UP THOSE NUMBERS TO YOU.

21 DR. LUBIN: THAT WOULD BE GREAT.

22 MS. BAUM: IF THIS IS STILL WORKING, I
23 JUST WANTED TO ADD AT THIS INTERNATIONAL CONFERENCE
24 THAT I JUST ATTENDED, CIRM WAS RECOGNIZED AS A
25 SIGNIFICANT FUNDER IN THE AREA OF CELL AND GENE

BARRISTERS' REPORTING SERVICE

1 THERAPY. TWENTY-FIVE PERCENT OF ALL FUNDING RAISED
2 LAST YEAR WAS ATTRIBUTED TO THE GOVERNMENT, OF WHICH
3 A SIGNIFICANT PORTION CAME FROM CIRM. SO IT HAD
4 INTERNATIONAL RECOGNITION AS BEING A SIGNIFICANT
5 PLAYER IN THE FIELD.

6 DR. LUBIN: I THINK ALL OF THIS IS REALLY
7 IMPORTANT FOR OUR MARKETING AND POSITIONING FOR THE
8 FUTURE. AND THAT'S AN AREA THAT'S COMMONLY USED. I
9 MEAN THE REST OF THEM HAVE A GAP BEFORE THEY GET TO
10 PATIENTS IN CELLULAR THERAPY, ESPECIALLY IF WE
11 INCLUDE BONE MARROW TRANSPLANTS, WHICH ARE CELLULAR
12 THERAPIES. IT'S REALLY QUITE REMARKABLE IN THIS
13 STATE. AND I THINK THAT'S AN IMPORTANT PIECE OF
14 INFORMATION TO PRESENT AS WE LOOK AT OUR FUTURE.

15 DR. FEIGAL: YEAH. WHAT WE CAN DO IS
16 FOLLOW UP WITH YOU FOR THAT UPDATE INTO THE TYPES OF
17 THERAPIES IN ADDITION TO THE REGIONS AND WHERE
18 CALIFORNIA MIGHT BE THE SOLE SITE VERSUS CALIFORNIA
19 AS ONE OF MULTIPLE SITES. SO WE'D BE HAPPY TO DO
20 THAT.

21 ARE THERE ANY MORE QUESTIONS FOR ME?
22 OTHERWISE, I CAN MOVE ON TO THE FINANCIAL UPDATE.
23 OKAY. CHILA.

24 MS. SILVA-MARTIN: GOOD MORNING, MR.
25 CHAIR, MEMBERS OF THE BOARD, MEMBERS OF THE PUBLIC,

BARRISTERS' REPORTING SERVICE

1 AND STAFF. MY NAME IS CHILA SILVA-MARTIN AND I WILL
2 BE REPORTING ON CIRM'S FINANCES.

3 I KNOW WE HAVE A FEW NEW BOARD MEMBERS.
4 SO FIRST I WANT TO EXPLAIN JUST BRIEFLY THE
5 UNIQUENESS OF BEING A STATE AGENCY. SO FIRST OF
6 ALL, OUR FISCAL YEAR. OUR FISCAL YEAR RUNS FROM
7 JULY 1ST OF ANY GIVEN YEAR THROUGH JUNE 30TH OF THE
8 FOLLOWING YEAR. SO WE'RE CURRENTLY IN THE '13-'14
9 FISCAL YEAR, WHICH COVERS JULY 1, 2013, THROUGH JUNE
10 30TH, 2014. ALSO, GIVEN THAT WE'RE A STATE AGENCY,
11 WE MUST ADHERE TO THE STATE'S FINANCIAL REPORTING
12 PROCESSES. SO WE USE THE STATE'S FINANCIAL
13 ACCOUNTING SYSTEM, IT'S CALLED CALSTRS, TO REPORT
14 OUR EXPENDITURES AND THEN TO PREPARE OUR YEAR-END
15 FINANCIAL STATEMENTS.

16 THE STATE CONTROLLER'S OFFICE IS THE
17 STATE'S CHIEF FISCAL OFFICER, AND AS SUCH WE MAKE
18 ALL OF OUR GRANT AND OPERATIONAL PAYMENTS THROUGH
19 THE STATE CONTROLLER'S OFFICE. AND WE SUBMIT OUR
20 YEAR-END FINANCIAL STATEMENTS TO THEM, AND THEY
21 COMPILE IT INTO A COMPREHENSIVE REPORT.

22 SO THAT WAS JUST A LITTLE BIT OF
23 BACKGROUND FOR YOU ON THE STATE'S OVERALL FISCAL
24 PROCESS. AND NOW I WANT TO TALK ABOUT CIRM'S
25 FINANCIAL OPERATIONS.

BARRISTERS' REPORTING SERVICE

1 SO JUST A COUPLE OF HIGHLIGHTS. FIRST,
2 FOR THE FIRST SIX MONTHS OF THE FISCAL YEAR, WE HAVE
3 DISTRIBUTED \$102 MILLION IN GRANT PAYMENTS. THAT'S
4 UP ABOUT \$23 MILLION OVER WHAT WE DISTRIBUTED DURING
5 THE SAME PERIOD IN THE '12-'13 FISCAL YEAR.

6 WE CONTINUE TO RECEIVE MONTHLY CASH
7 ALLOCATIONS THROUGH COMMERCIAL PAPER. SO OUR CASH
8 RESERVE AS OF DECEMBER WAS \$65 MILLION, WHICH IS A
9 HEALTHY BALANCE TO MEET OUR OPERATIONAL NEEDS.

10 THIS NEXT CHART PROVIDES YOU A SNAPSHOT IN
11 TIME. WHAT IT REPRESENTS ARE EXPENDITURES THAT HAVE
12 BEEN RECORDED IN THE FINANCIAL STATEMENTS AS OF
13 DECEMBER 2013, AND IT COMPARES IT TO THE
14 EXPENDITURES THAT WERE RECORDED DURING THE SAME
15 PERIOD IN '12-'13. THE LAG BETWEEN ACTUAL
16 EXPENDITURES AND RECORDED EXPENDITURES CAN RUN EVERY
17 MONTH ANYWHERE FROM 400 TO \$600,000. NOW, I'M NOT
18 GOING TO COVER THE DETAILS OF THE EXPENDITURES AT
19 THIS TIME. I WILL COVER THEM IN MORE DETAIL LATER
20 ON IN MY PRESENTATION, BUT I JUST WANTED TO POINT
21 OUT FROM THIS CHART THAT OUR EXPENDITURES REMAIN
22 PRETTY CONSISTENT FROM YEAR TO YEAR. AS YOU CAN
23 SEE, WE'VE HAD A 5-PERCENT INCREASE OF EXPENDITURES
24 THAT HAVE BEEN RECORDED FOR THE FIRST SIX MONTHS OF
25 THIS FISCAL YEAR.

BARRISTERS' REPORTING SERVICE

1 SO NOW THIS NEXT CHART PROVIDES ALSO A
2 SNAPSHOT IN TIME, AND IT REPRESENTS THE EXPENDITURES
3 AS OF DECEMBER, BUT BY COST CENTER. AND OVERALL, AS
4 YOU CAN SEE, ALL OF OUR COST CENTERS ARE MAINTAINING
5 THEIR BUDGETS WELL WITHIN THEIR ALLOCATION.

6 SO NOW LOOKING AT THE DETAILS, THIS NEXT
7 CHART REFLECTS WHAT WE WERE AUTHORIZED AN ALLOCATION
8 FOR THE YEAR, WHAT OUR YEAR-END FORECAST IS, AND
9 THIS IS BASED ON EXPENDITURES THAT WE RECORDED TO
10 DATE, ANY OUTSTANDING OBLIGATIONS, AND THEN
11 ANTICIPATED EXPENDITURES FOR THE NEXT SIX MONTHS.
12 SO OVERALL I'M ANTICIPATING THAT WE WILL HAVE
13 SAVINGS OF ABOUT 5 TO -- ANYWHERE FROM 5 TO 10
14 PERCENT.

15 AND NOW I JUST WANT TO LOOK BRIEFLY AT
16 EACH OF THE CATEGORIES OF EXPENDITURE.

17 THE FIRST CATEGORY IS OUR EMPLOYEE
18 EXPENSES. AND AS YOU CAN SEE, WE'RE ANTICIPATING
19 ABOUT A 5-PERCENT SAVINGS IN THIS CATEGORY. SO FOR
20 THOSE OF YOU THAT ARE NEW TO CIRM, IN THIS CATEGORY
21 THE TYPE OF COSTS THAT WE CAPTURE HERE ARE THE
22 SALARIES FOR OUR EMPLOYEES AS WELL AS BENEFITS SUCH
23 AS RETIREMENT AND HEALTH. NOW, BECAUSE WE ARE A
24 STATE AGENCY, WE PARTICIPATE AND PROVIDE BENEFITS
25 THAT HAVE BEEN NEGOTIATED AND APPROVED BY THE

BARRISTERS' REPORTING SERVICE

1 STATE'S CHIEF HUMAN RESOURCE DEPARTMENT, WHICH IS
2 CALHR OR PREVIOUSLY KNOWN AS DPA.

3 SO THE SAVINGS THAT WE'RE SEEING IN
4 EMPLOYEE EXPENSES ARE FROM A COUPLE OF POSITIONS
5 THAT HAVE NOT BEEN FILLED, AND ALSO WE HAD BUDGETED
6 FOR MERIT SALARY ADJUSTMENTS TO TAKE PLACE ON JULY
7 1ST, BUT THEY DID NOT GET IMPLEMENTED UNTIL NOVEMBER
8 1ST.

9 THE NEXT CATEGORY I'LL COVER IS EXTERNAL
10 SERVICES. AND AS YOU CAN SEE, WE'RE REALLY NOT
11 ANTICIPATING MUCH SAVINGS IN THAT AREA. I DO WANT
12 TO POINT OUT THAT MOST OF OUR COST CENTERS ARE
13 EXPERIENCING SOME SAVINGS IN THAT AREA. SOME ARE
14 BETWEEN FIVE TO \$50,000; HOWEVER, WE DO HAVE -- THE
15 FORECAST DOES INCLUDE FUNDS FOR THE SERVICES
16 ASSOCIATED WITH THE PRESIDENTIAL SEARCH. SO THAT IS
17 WHY WE'RE SEEING VERY LITTLE SAVINGS IN THAT
18 CATEGORY.

19 FOR REVIEWS, MEETINGS, AND WORKSHOPS,
20 WE'RE FORECASTING THAT WE'LL HAVE ABOUT \$350,000
21 LEFT OVER, SOMEWHERE BETWEEN 15 AND 20 PERCENT. THE
22 REASON FOR THE SAVINGS IS THAT EITHER COSTS HAVE NOT
23 MATERIALIZED AT THE LEVEL THAT WE ANTICIPATED OR
24 THEY HAVE NOT MATERIALIZED AT ALL. SO COSTS THAT
25 AREN'T MATERIALIZING AT THE LEVEL THAT WE

BARRISTERS' REPORTING SERVICE

1 ANTICIPATED INCLUDE THESE BOARD MEETINGS. WE
2 BUDGETED A CERTAIN AMOUNT FOR THE BOARD MEETINGS,
3 AND THE COSTS ARE COMING IN LOWER THAN WE HAD
4 BUDGETED. SIMILARLY, OUR CDAP REVIEW MEETINGS THAT
5 ARE HEADED BY DR. FEIGAL, WE DIDN'T HAVE A LOT OF
6 EXPERIENCE IN BUDGETING FOR THESE BECAUSE WE JUST
7 STARTED THEM A COUPLE YEARS AGO, SO WE USED BUDGETS
8 FROM THE GRANTS WORKING GROUP AND MADE SOME
9 ADJUSTMENTS, BUT WE'RE FINDING THAT THE COSTS ARE
10 ACTUALLY COMING IN LOWER EVEN THAN WHAT WE BUDGETED.

11 ANOTHER FACTOR IS THAT, WHENEVER FEASIBLE,
12 DR. FEIGAL IS HOLDING THOSE MEETINGS INTERNALLY AT
13 THE CIRM HEADQUARTERS, AND SO WE'RE SEEING SAVINGS
14 FROM THAT.

15 AND THEN WE HAD SEVERAL WORKSHOPS THAT WE
16 HAD ANTICIPATED AND BUDGETED FOR THAT JUST DID NOT
17 MATERIALIZE AT ALL.

18 FOR OUR MEMBERSHIP AND TRAINING, WE
19 GENERALLY BUDGET 1 PERCENT OF THE SALARY BUDGET.
20 AND IN THAT AREA WE'RE NOT SEEING EXPENDITURES COME
21 IN AT THAT LEVEL. SO THEY'RE COMING IN ABOUT 70
22 PERCENT OF WHAT WAS BUDGETED.

23 IN TRAVEL WE HAD BUDGETED \$532,000, AND
24 WE'RE ANTICIPATING THAT WE'RE GOING TO BE BEHIND
25 ABOUT \$150,000 THAT WON'T BE SPENT. SO IN THIS

BARRISTERS' REPORTING SERVICE

1 CATEGORY WHAT HAPPENS IS EACH OF OUR COST CENTERS
2 PREPARES A TRAVEL PLAN THAT INCLUDES BOTH IN-STATE,
3 OUT-OF-STATE, AND OUT-OF-COUNTRY ANTICIPATED TRIPS.
4 AND THEY PLAN FOR THE ENTIRE YEAR. SO IT'S REALLY
5 IMPORTANT TO INCLUDE ANY TRIPS THAT THEY ANTICIPATE
6 MAY HAPPEN BECAUSE IT'S REALLY -- WE NEED THAT PLAN
7 SUBMITTED TO THE STATE CONTROLLER'S OFFICE, AND THEY
8 MAKE PAYMENT OFF OF THAT PLAN. SO OFTENTIMES A
9 TRIP, WE ANTICIPATE THAT A TRIP WILL TAKE PLACE AND
10 IT DIDN'T MATERIALIZE OR, IF IT DOES MATERIALIZE,
11 THE COST COMES IN LOWER THAN WHAT WE HAD BUDGETED.

12 ANOTHER FACTOR THAT'S IMPACTING THIS IS
13 OVER THE LAST YEAR --

14 CHAIRMAN THOMAS: CHILA, SENATOR TORRES
15 HAS A QUESTION.

16 MR. TORRES: NOT TODAY, BUT CAN YOU
17 PROVIDE ME A COPY OF FOREIGN OUT-OF-STATE AND STATE
18 TRAVEL AND WHERE WE'VE SAVED SOME MONEY AS WELL?

19 MS. SILVA-MARTIN: ABSOLUTELY. I WILL BE
20 HAPPY TO DO THAT.

21 MR. TORRES: AND ALSO ARE WE REQUIRED TO
22 PROVIDE A MISSION CRITICAL STATEMENT IN RESPECT TO
23 ANY TRIPS THAT WE TAKE?

24 MS. SILVA-MARTIN: WHEN WE PUT TOGETHER
25 THE PLAN, YES, WE ARE REQUIRED TO DO THAT.

BARRISTERS' REPORTING SERVICE

1 MR. TORRES: RIGHT. I JUST WANT TO MAKE
2 SURE BECAUSE I KNOW THE GOVERNOR IS VERY, VERY
3 ADAMANT ABOUT THAT. AND THE FACT THAT WE'RE DOING
4 THAT WOULD BE VERY, VERY IMPORTANT.

5 MS. SILVA-MARTIN: ABSOLUTELY.

6 MR. TORRES: THANK YOU.

7 MS. SILVA-MARTIN: I DID DO THAT. AND I
8 DO WANT TO POINT OUT THAT FOR THE OUT-OF-STATE AND
9 OUT-OF-COUNTRY, IN PREVIOUS YEARS THAT BUDGET WAS
10 ACTUALLY HIGHER THAN WHAT IT IS NOW. SO WE HAVE
11 MADE REDUCTIONS OVER THE LAST COUPLE OF YEARS. WHEN
12 J.T. CAME ON BOARD, HE ACTUALLY REQUIRED US TO
13 REDUCE THAT BUDGET.

14 MR. TORRES: RIGHT. I REMEMBER THAT. AND
15 ALSO WE JUST NEED TO MAKE SURE THAT THE TAXPAYERS
16 KNOW THAT WE'RE BEING VERY JUDICIOUS WITH THESE
17 COSTS AND HOW WE PROVIDE A SPECIFIC RESPONSE TO
18 THOSE COSTS.

19 MS. SILVA-MARTIN: ABSOLUTELY. I WILL
20 PROVIDE YOU THAT INFORMATION. I DID WANT -- I WAS
21 GOING TO POINT OUT FURTHER THAT ONE OF THE REASONS
22 OUR COSTS ARE ALSO LOWER IS OVER THE LAST YEAR AND A
23 HALF, WE'VE IMPLEMENTED NEW TRAVEL POLICIES. SO,
24 FOR EXAMPLE, THE STATE HAS PRENEGOTIATED STATE RATES
25 FOR AIRLINE TICKETS. AND BECAUSE THOSE TICKETS ARE

BARRISTERS' REPORTING SERVICE

1 FULLY REFUNDABLE AND YOU CAN CHANGE THEM AT ANY
2 TIME, THEY GENERALLY ARE MORE EXPENSIVE THAN
3 NONREFUNDABLE TICKETS. BUT WHAT WE'RE HAVING OUR
4 STAFF NOW DO WHENEVER IT'S POSSIBLE, IF THE TRIP
5 CALLS FOR IT, WE'RE REQUIRING THAT THEY PURCHASE
6 NONREFUNDABLE TICKETS. AND THAT'S ACTUALLY
7 GENERATING SOME SAVINGS FOR US IN THE TRAVEL AREA.

8 MR. TORRES: I WANT TO THANK YOU FOR THE
9 GREAT WORK YOU'VE BEEN DOING ON THAT TO MAKE SURE
10 THAT ALL THE NUMBERS ARE THERE.

11 MS. SILVA-MARTIN: THANK YOU.

12 SO THEN THE LAST CATEGORY I WANTED TO
13 COVER IS THE EQUIPMENT, SUPPLIES, SOFTWARE, AND
14 TELECOMMUNICATIONS. AND REALLY THIS IS A CATEGORY
15 WHERE WE CAPTURE ALL OF OUR OTHER EXPENDITURES. SO
16 WE HAVE OUR I.T. INFRASTRUCTURE WHERE WE HAVE
17 SERVERS AND WE HAVE TO MAINTAIN THOSE SERVERS, WE
18 REPLACE COMPUTERS, WE HAVE EQUIPMENT SUCH AS OUR
19 COPIERS THAT WE HAVE TO MAINTAIN, WE PAY FOR OUR
20 TELEPHONE SERVICES, OUR INTERNET BROADBAND SERVICES,
21 SUBSCRIPTIONS, AND GENERAL EXPENSES, TO NAME A FEW.
22 AND SO IN THAT CATEGORY WE'RE ANTICIPATING SOMEWHERE
23 BETWEEN A 10- TO 15-PERCENT SAVINGS OF ABOUT 50 TO
24 \$60,000.

25 SO I'M GOING TO MOVE ON TO THE NEXT CHART,

BARRISTERS' REPORTING SERVICE

1 AND THAT'S OUR ADMIN EXPENSES. SO, AGAIN, FOR THOSE
2 OF YOU THAT ARE NEW TO THE BOARD, PROPOSITION 71
3 PLACED A 6-PERCENT CAP ON OUR GENERAL AND
4 ADMINISTRATIVE EXPENDITURES. AND SO THIS CHART
5 REFLECTS WHERE WE ARE WITH THAT FUND -- WITH THOSE
6 FUNDS. SO AS OF JUNE OF LAST YEAR, WE HAD SPENT
7 \$75.1 MILLION OR ABOUT 42 PERCENT OF OUR BUDGET. WE
8 HAVE 14.8 PERCENT BUDGETED FOR THE CURRENT YEAR.
9 IT'S ABOUT 8 TO 9 PERCENT.

10 NOW, WE DON'T ANTICIPATE THAT WE'RE GOING
11 TO SPEND THE FULL 14.8 MILLION. I ANTICIPATE WE'LL
12 HAVE SAVINGS SOMEWHERE BETWEEN 600,000 TO 800,000.
13 SO WHAT WE HAVE AVAILABLE FOR THE '14-'15 FISCAL
14 YEAR AND BEYOND IS SOMEWHERE AROUND 90 TO \$91
15 MILLION. AND WE CURRENTLY PROJECT, BASED ON OUR
16 CURRENT STRATEGIC PLAN, THAT THIS \$90 MILLION WILL
17 BE SUFFICIENT TO CARRY US THROUGH THE '20-'21 FISCAL
18 YEAR.

19 SO THE LAST THING I DID WANT TO MENTION IS
20 THAT WE HAVE BEGUN DEVELOPMENT OF THE '14-'15
21 BUDGET. SO EARLIER THIS MONTH WE DISTRIBUTED
22 TEMPLATES, BUDGET TEMPLATES, TO THE COST CENTERS
23 ALONG WITH FINANCIAL DATA. THESE BUDGET REQUESTS
24 ARE DUE BACK TO THE FINANCE OFFICE MID-FEBRUARY. WE
25 WILL THEN HAVE INTERNAL DISCUSSIONS WITH THE

BARRISTERS' REPORTING SERVICE

1 PRESIDENT AND THE CHAIR AND FINALIZE THE BUDGETS.
2 WE WILL THEN PRESENT THEM TO THE CHAIR OF THE
3 FINANCE SUBCOMMITTEE SOMETIME IN MARCH. AND THEN WE
4 WILL BRING THEM TO A FINANCE SUBCOMMITTEE EITHER IN
5 LATE MARCH, EARLY APRIL, AND THEN A BUDGET
6 PRESENTATION TO YOU AT THE MAY BOARD MEETING.

7 THIS CONCLUDES THE PRESENTATION. ARE
8 THERE ANY QUESTIONS? ARE THERE ANY QUESTIONS? NO.
9 OKAY. THANK YOU.

10 CHAIRMAN THOMAS: THANK YOU, CHILA. THANK
11 YOU, ALAN AND ELLEN AND ELONA AS WELL. AS ALWAYS, A
12 MOST INFORMATIVE PRESENTATION, GIVING THE BOARD A
13 CONTINUING FEEL FOR THE MANY THINGS THAT ARE GOING
14 ON HERE, ALL OF WHICH ARE ADVANCING THE MISSION. SO
15 THANK YOU VERY MUCH.

16 WE ARE NOW GOING TO GO INTO OUR ACTION
17 ITEMS. WE'RE ACTUALLY GOING TO TAKE ONE OUT OF
18 ORDER. WE'RE GOING TO MOVE FIRST TO ITEM 8, WHICH
19 IS THE CONSIDERATION OF APPLICATIONS FOR RFA 13-02,
20 CIRM BASIC BIOLOGY AWARDS V. DR. SHEPARD WILL BE
21 LEADING OUR DISCUSSION HERE.

22 DR. SHEPARD: GOOD MORNING, MR. CHAIR,
23 MEMBERS OF THE BOARD, MEMBERS OF THE PUBLIC AND
24 STAFF. IT'S MY PLEASURE TO PRESENT FOR YOUR
25 CONSIDERATION TODAY THE RECOMMENDATIONS OF THE

BARRISTERS' REPORTING SERVICE

1 GRANTS WORKING GROUP FOR RFA 13-02. THIS IS THE
2 BASIC BIOLOGY AWARDS V.

3 I'D JUST LIKE TO BEGIN MY PRESENTATION BY
4 GIVING A LITTLE OVERVIEW OF THE DIFFERENT TOPICS I'M
5 GOING TO BE COVERING DURING THIS PRESENTATION
6 BECAUSE THERE ARE SEVERAL COMPONENTS. I'M GOING TO
7 BEGIN WITH AN INTRODUCTION TO THE RFA, AND I'M GOING
8 TO EXPLAIN THE TWO DIFFERENT TYPES OF AWARDS THAT
9 ARE OFFERED UNDER THIS INITIATIVE. AND I'D ALSO
10 LIKE TO EXPLAIN HOW THIS PARTICULAR ROUND OF BASIC
11 BIOLOGY AWARDS DIFFERS A LITTLE BIT FROM PREVIOUS
12 ROUNDS THAT WE'VE OFFERED.

13 WE'LL THEN GO THROUGH THE RECOMMENDATIONS
14 OF THE GRANTS WORKING GROUP AND STAFF
15 RECOMMENDATIONS FOR ONE OF THESE TWO AWARD TYPES,
16 THE FUNDAMENTAL MECHANISMS AWARDS. AFTER THAT WE'LL
17 HAND THINGS OVER TO MR. SHEEHY WHO WILL CONDUCT THE
18 PROGRAMMATIC REVIEW OF THESE AWARDS. AFTERWARDS, IT
19 WILL COME BACK TO ME, AND I WILL EXPLAIN THE
20 RECOMMENDATIONS OF THE GRANTS WORKING GROUP AND
21 STAFF FOR THE SECOND OF THE TWO AWARD TYPES, THE
22 EXPLORATORY CONCEPT AWARDS. AND THEN, ONCE AGAIN,
23 WE'LL GO BACK TO MR. SHEEHY FOR THE PROGRAMMATIC
24 REVIEW OF THOSE AWARDS.

25 SO I KNOW WE DO HAVE SOME NEW BOARD

BARRISTERS' REPORTING SERVICE

1 MEMBERS AND WE ALSO HAVE SOME OTHERS WHO HAVE BEEN
2 THROUGH PREVIOUS ROUNDS OF BASIC BIOLOGY WITH US.
3 SO I'D JUST LIKE TO BRIEFLY GO OVER THE GOALS OF
4 THIS PROGRAM, WHICH HAS BEEN TO FOSTER CUTTING-EDGE
5 RESEARCH, TACKLING SIGNIFICANT BUT UNRESOLVED ISSUES
6 IN HUMAN STEM CELL BIOLOGY WITH AN EMPHASIS ON
7 UNRAVELING THE KEY MOLECULAR AND CELLULAR MECHANISMS
8 OR, IN OTHER WORDS, PATHWAYS THAT DICTATE CELL FATE.

9 THE BASIC BIOLOGY PROGRAM IS ONE OF CIRM'S
10 CORE RECURRING RFA PROGRAMS. IN THIS CASE IT'S BEEN
11 ISSUED APPROXIMATELY EVERY ONE AND A HALF YEARS OR
12 SO. AND BY ITS TITLE, YOU CAN TELL THAT THIS IS THE
13 FIFTH ROUND OF THE AWARD. NOW, DESPITE IT'S FAIRLY
14 BASIC SOUNDING NAME, BASIC BIOLOGY, THIS PARTICULAR
15 INITIATIVE ACTUALLY TARGETS A VERY SPECIFIC NICHE OF
16 STEM CELL RESEARCH PRIMARILY FOCUSED ON HUMAN CELL
17 BIOLOGY WHICH IS NOT PARTICULARLY WELL REPRESENTED
18 IN THE FUNDING OF OTHER AGENCIES IN STEM CELL
19 BIOLOGY AND, MORE IMPORTANTLY, IT'S FOCUSING ON KEY
20 MOLECULAR AND CELLULAR PATHWAYS THAT ARE BELIEVED TO
21 BE CRITICAL FOR THE SUCCESSFUL TRANSLATION OF STEM
22 CELL SCIENCE INTO BIOMEDICAL INNOVATION AND EVENTUAL
23 THERAPIES FOR HUMANS.

24 BUT IN ADDITION TO -- SO THE RECURRING
25 RFA -- CIRM'S OTHER RECURRING RFA PROGRAMS ARE

BARRISTERS' REPORTING SERVICE

1 DEPICTED HERE ALONG THE BOTTOM OF THIS RESEARCH AND
2 DEVELOPMENT PIPELINE WITH THE MORE EARLIER RESEARCH
3 STAGE STUDIES ON THE LEFT AND, OF COURSE, THE MORE
4 LATER DEVELOPMENT AND CLINICAL RESEARCH STAGES ON
5 THE RIGHT. AND WHILE BASIC BIOLOGY IS EARLY
6 RESEARCH THAT DRIVES THE DISCOVERIES THAT EVENTUALLY
7 COME THROUGH THIS PIPELINE TO CREATE NEW THERAPIES,
8 IT ALSO HAS THE POSSIBILITY TO IMPACT PROJECTS THAT
9 ARE MORE MATURE ALONG THE WAY BY INFORMING STUDIES
10 OF MECHANISM OF ACTION OF THERAPEUTIC CANDIDATES,
11 FOR EXAMPLE, AND BY ENABLING SUCH DISCOVERIES AROUND
12 BIOMARKERS.

13 SO BEFORE I GO INTO WHAT'S NEW AND
14 DIFFERENT ABOUT BASIC BIOLOGY V, I JUST WANT TO LET
15 YOU KNOW WHAT HAS OCCURRED THROUGH THE PREVIOUS FOUR
16 ISSUES OF THIS INITIATIVE. SO AS ITS NAME IMPLIES,
17 THERE HAVE BEEN FOUR SEPARATE RFA'S THAT HAVE BEEN
18 ISSUED TO DATE IN THIS PROGRAM. THE RESULT OF THOSE
19 FOUR INITIATIVES ARE 80 THREE-YEAR GRANTS, TOTALING
20 APPROXIMATELY \$115 MILLION OF ALLOCATED FUNDS. THIS
21 FUNDS 79 DIFFERENT PRINCIPAL INVESTIGATORS TO WORK
22 ON HUMAN STEM CELL BIOLOGY ACROSS 18 INSTITUTIONS
23 AROUND THE STATE OF CALIFORNIA.

24 TO DATE WE HAVE IN OUR DISCLOSURE SYSTEM
25 ABOUT 115 PUBLICATIONS THAT HAVE BEEN ATTRIBUTED TO

BARRISTERS' REPORTING SERVICE

1 THE BASIC BIOLOGY PROGRAM SPECIFICALLY IN ONE WAY OR
2 ANOTHER. AND THESE GRANTS ARE STILL NOT CLOSED OUT.
3 OUR FIRST CYCLE IS JUST NOW CLOSING OUT, AND THAT
4 REPRESENTS ONLY 13 OF THESE 83 AWARDS. SO MANY OF
5 THEM ARE STILL IN THEIR PRIME.

6 NOW, AS I MENTIONED, THESE PAST AWARDS ARE
7 FOCUSING ON THE STUDY OF FUNDAMENTAL MECHANISMS WITH
8 KEY RELEVANCE TO STEM CELL BIOLOGY. THESE TYPES OF
9 MECHANISMS GENERALLY INVOLVE THE BIOLOGY OF HUMAN
10 PLURIPOTENT AND ADULT STEM CELLS SPECIFICALLY
11 FOCUSED ON UNDERSTANDING THE DETERMINANTS THAT
12 CONTROL CELL FATE DECISIONS; FOR EXAMPLE, LINEAGE
13 SPECIFICATION. HOW CAN WE COAX THESE CELLS INTO
14 BECOMING MATURE TISSUE TYPES THAT WOULD BE IMPORTANT
15 FOR DISEASE MODELING OR FOR THERAPEUTIC
16 APPLICATIONS?

17 NOW, BEGINNING WITH THE THIRD ROUND OF
18 BASIC BIOLOGY, WE EXPANDED THE SCOPE SLIGHTLY TO
19 INCLUDE ANOTHER TYPE OF STUDY. THIS IS ON THE LOWER
20 BULLET HERE, THE MOLECULAR BASIS OF DISEASE. IN
21 THIS CASE WE'RE REFERRING TO WHAT IS KNOWN AS
22 DISEASE-IN-A-DISH MODELS WHERE STEM CELLS ARE BEING
23 USED, NOT NECESSARILY AS THE OBJECT OF STUDY, BUT AS
24 A TOOL TO INVESTIGATE THE MOLECULAR BASIS OF DISEASE
25 FOR WHICH LITTLE IS KNOWN AND FOR WHICH THE STEM

BARRISTERS' REPORTING SERVICE

1 CELL TECHNOLOGY ENABLES NEW OPPORTUNITIES TO ALLOW
2 THOSE MECHANISMS TO BE ADDRESSED.

3 OKAY. SO AROUND THE TIME THAT WE WERE
4 BEGINNING TO PUT TOGETHER THE CONCEPT FOR THE FIFTH
5 ITERATION OF THESE AWARDS, OUR STRATEGIC PLAN, WHICH
6 IS THE MEANS BY WHICH CIRM GAUGES PROGRESS TOWARDS
7 ACHIEVING ITS MISSION, WENT THROUGH AN UPDATE. THIS
8 WAS A MONTH LONG PROCESS WITH A LOT OF DIFFERENT
9 INPUT FROM A LOT OF DIFFERENT STAKEHOLDERS. AND IN
10 THIS UPDATE, WITH RESPECT TO OUR BASIC BIOLOGY
11 PROGRAM, THE GOAL, AS UPDATED, WAS TO FOSTER AN
12 ENGINE OF DISCOVERY AND TRANSFORMATIVE RESEARCH. WE
13 TOOK THIS AS A CHALLENGE TO TRY TO SEE WHAT KIND OF
14 CHANGES WE COULD INTRODUCE IN OUR PROGRAM TO
15 INCREASE THE POSSIBILITY OF ACHIEVING TRANSFORMATIVE
16 RESEARCH OPPORTUNITIES.

17 SO WE BELIEVE THAT STRATEGIES FOR
18 ACHIEVING HIGH IMPACT TRANSFORMATIVE DISCOVERY THAT
19 CAN BE ACHIEVED WITHIN THE REGULATIONS AND PROP 71
20 CONFINES IN WHICH WE WORK, ONE MEANS IS TO ADDRESS
21 THOSE KEY UNRESOLVED ISSUES OR BOTTLENECKS OF BASIC
22 HUMAN STEM CELL BIOLOGY THAT WE KNOW ARE NECESSARY
23 TO ADVANCE THE SCIENCE. THAT IS EXACTLY THE TYPE OF
24 RESEARCH THAT OUR CURRENT BASIC BIOLOGY INITIATIVE
25 GOES AFTER. HOWEVER, WE ACKNOWLEDGE THE POSSIBILITY

BARRISTERS' REPORTING SERVICE

1 THAT TRANSFORMATIVE DISCOVERIES CAN COME FROM
2 UNEXPECTED DIRECTIONS, PERHAPS STEMMING FROM NEW
3 WAYS OF THINKING OR NEW WAYS TO DO EXPERIMENTS THAT
4 HADN'T BEEN PREVIOUSLY POSSIBLE PERHAPS DUE TO SOME
5 ENABLING SORT OF TECHNOLOGY.

6 SO WE TRIED TO ENGINEER A COMPLEMENTARY
7 APPROACH INTO OUR EXISTING BASIC BIOLOGY PROGRAM TO
8 PERHAPS CAPTURE SOME OF THESE TYPES OF OPPORTUNITIES
9 THAT ARE A LITTLE BIT MORE DIFFICULT TO ANTICIPATE.
10 SO FOR THIS CYCLE OF THE BASIC BIOLOGY AWARDS, WE
11 OFFERED TWO DIFFERENT TYPES OF AWARDS. THE FIRST
12 TYPE, WHICH WE RENAMED THE FUNDAMENTAL MECHANISMS
13 AWARDS, ARE VERY SIMILAR TO THOSE TARGETED THROUGH
14 OUR PREVIOUS FOUR ROUNDS OF BASIC BIOLOGY. THEY'RE
15 STUDIES TO ELUCIDATE CELLULAR AND MOLECULAR
16 MECHANISMS PERTINENT TO HUMAN STEM CELL BIOLOGY AND
17 REGENERATIVE MEDICINE.

18 THE SECOND OR THE NEW ELEMENT TO THIS RFA
19 IS THE EXPLORATORY CONCEPT AWARD. THIS IS TO
20 SUPPORT PROPOSALS THAT TEST HIGHLY NOVEL HYPOTHESES
21 THAT, IF PROVEN, WOULD CHALLENGE DOGMA AND RESULT IN
22 A TRANSFORMATIVE DISCOVERY FOR THE STEM CELL FIELD.

23 NOW, I'D JUST LIKE TO GO INTO A LITTLE BIT
24 MORE DETAIL ABOUT WHAT DISTINGUISHES THE TWO TYPES
25 OF AWARDS BEFORE WE GO INTO THE REVIEW

BARRISTERS' REPORTING SERVICE

1 RECOMMENDATIONS.

2 SO, FIRST OF ALL, FUNDAMENTAL MECHANISMS
3 AWARDS, AS I MENTIONED, THESE ARE SIMILAR TO
4 PROJECTS TARGETED THROUGH PREVIOUS ROUNDS OF BASIC
5 BIOLOGY. THESE ARE RIGOROUS STUDIES UTILIZING HUMAN
6 STEM CELLS FOCUSED ON HUMAN STEM CELL BIOLOGY THAT
7 WILL SIGNIFICANTLY ADVANCE THE FIELD. THEY'RE
8 FOCUSED ON UNDERSTANDING BASIC CELLULAR AND
9 MOLECULAR MECHANISMS WITH PARTICULAR RELEVANCE TO
10 STEM CELL FUNCTION, CELLULAR DIFFERENTIATION, AND
11 MORE RECENTLY DISEASE MECHANISMS. THESE SHOULD BE
12 BASED ON COMPELLING PRELIMINARY DATA AND STRONG
13 RATIONALE. FOR MANY OF THESE AWARDS, THIS STRONG
14 RATIONALE OF PRELIMINARY DATA COMES IN THE FORM OF
15 PREVIOUS STUDIES IN ANIMAL MODELS SUGGESTING THAT
16 THE PATHWAYS TO BE INVESTIGATED ARE IMPORTANT.

17 AND AS WITH PREVIOUS ROUNDS, THESE ARE
18 THREE-YEAR AWARDS. FOR THIS CYCLE THE JUSTIFIABLE
19 DIRECT PROJECT COSTS ARE UP TO \$250,000 PER YEAR.

20 NOW, FOR THE EXPLORATORY CONCEPTS AWARD OR
21 THIS NEW TRACK, THE FUNDING MECHANISM IS A LITTLE
22 BIT DIFFERENT. SO, AGAIN, THESE ARE FOR AWARDS TO
23 ENABLE POTENTIALLY TRANSFORMATIVE DISCOVERIES
24 THROUGH HIGH RISK, POTENTIALLY HIGH GAIN EXPLORATORY
25 PURSUITS. WE WEREN'T PRESCRIPTIVE ABOUT THE

BARRISTERS' REPORTING SERVICE

1 SPECIFIC MECHANISMS THAT NEED TO BE STUDIED HERE
2 BECAUSE WE WANTED TO ALLOW OPPORTUNITIES FOR NEW
3 DIRECTIONS. WE JUST STATED THAT THE STUDIES NEEDED
4 TO BE DIRECTLY RELATED TO STEM CELL BIOLOGY, DIRECT
5 REPROGRAMMING, OR DETERMINATION OF CELL FATE AND
6 IDENTITY; IN OTHER WORDS, RELEVANT TO CIRM'S
7 MISSION.

8 FOR THIS AWARD TRACK, STUDIES MAY UTILIZE
9 HUMAN CELL MODELS. HOWEVER, WITH COMPELLING
10 JUSTIFICATION, THIS TYPE OF AWARD WAS ALSO OPEN TO
11 INVESTIGATORS USING VERTEBRATE ANIMAL MODEL SYSTEMS
12 OR CELL MODELS. BECAUSE OF THEIR HIGHER RISK
13 NATURE, THESE AWARDS ARE OFFERED FOR TWO YEARS
14 DURATION WITH SLIGHTLY LESS BUDGET, JUSTIFIABLE
15 DIRECT PROJECT COSTS OF UP TO \$200,000 PER YEAR.

16 NOW THAT I'VE EXPLAINED THE TWO DIFFERENT
17 TYPES OF AWARDS, I'D LIKE TO JUST GO OVER THE REVIEW
18 PROCESS SO YOU UNDERSTAND HOW THE RECOMMENDATIONS
19 THAT ARE COMING TO YOU TODAY CAME TO BE. AS WITH
20 OUR OTHER ROUNDS OF BASIC BIOLOGY, THESE AWARDS WENT
21 THROUGH A TWO-STEP REVIEW PROCESS. IN THE FIRST
22 STEP, ANY ELIGIBLE PI WAS ALLOWED TO SUBMIT A BRIEF
23 VERSION OF THEIR PROPOSAL TO EITHER A FUNDAMENTAL
24 MECHANISMS AWARD OR AN EXPLORATORY CONCEPTS AWARD
25 TRACK, NOT BOTH. THEY WERE EXPECTED TO CHOOSE WHICH

BARRISTERS' REPORTING SERVICE

1 WAS THE BEST FIT FOR THE RESEARCH PROPOSAL.

2 THESE PRELIMINARY APPLICATIONS ARE THEN
3 REVIEWED BY EXPERTS FROM OUTSIDE OF CALIFORNIA,
4 SCIENTIFIC EXPERTS. AND I WOULD LIKE TO POINT OUT
5 THAT WE USED INDEPENDENT POOLS OF REVIEWERS FOR EACH
6 TRACK. SO IN OTHER WORDS, THE REVIEWERS WHO WERE
7 LOOKING AT THE FUNDAMENTAL MECHANISMS PROPOSALS ONLY
8 SAW THOSE TYPES OF PROPOSALS. AND THE SAME IS TRUE
9 FOR THE EXPLORATORY CONCEPT PROPOSALS.

10 THE PRELIMINARY APPLICATIONS ARE ALSO
11 REVIEWED BY CIRM SCIENTIFIC STAFF, PRIMARILY LOOKING
12 FOR ADHERENCE TO ELIGIBILITY AND RESPONSIVENESS
13 CRITERIA FOR THE DIFFERENT TRACKS. ONCE THE
14 PRELIMINARY APPLICATION PROCESS IS COMPLETE, THE
15 HIGHEST RANKED APPLICANTS ARE INVITED TO SUBMIT FULL
16 VERSIONS OF THEIR PROPOSALS TO BE SCRUTINIZED BY THE
17 GRANTS WORKING GROUP. THIS TOOK PLACE LAST OCTOBER
18 2013.

19 SO JUST TO GIVE YOU AN IDEA OF THE TYPES
20 OF NUMBERS BEHIND THESE PROCESSES, FOR THIS ROUND WE
21 RECEIVED A TOTAL OF 341 PRELIMINARY APPLICATIONS.
22 THIS IS THE LARGEST NUMBER OF -- THIS RFA RECEIVED
23 THE LARGEST NUMBER OF APPLICANTS OF ANY OF OUR
24 PROGRAMS. THE BREAKDOWN WAS 214 CAME IN THROUGH THE
25 FUNDAMENTAL MECHANISMS TRACK AND 127 THROUGH THIS

BARRISTERS' REPORTING SERVICE

1 NEW EXPLORATORY CONCEPTS TRACK.

2 AFTER THE PRELIMINARY APPLICATION REVIEW
3 PROCESS, THERE WERE 62 THAT WERE INVITED TO SUBMIT
4 FULL APPLICATIONS. THIS REPRESENTS ABOUT 20 PERCENT
5 OF THE TOTAL APPLICANT POOL.

6 WHEN THE CONCEPT WAS APPROVED LAST YEAR,
7 THE ALLOCATION REQUESTED WAS FOR \$40 MILLION. WE'RE
8 HOPEFUL THAT THIS WOULD BE SUFFICIENT TO FUND UP TO
9 30 AWARDS TOTAL. OF COURSE, THAT FINAL AMOUNT WOULD
10 DEPEND ON THE NUMBER OF FUNDAMENTAL MECHANISMS
11 VERSUS EXPLORATORY CONCEPT AWARDS. SINCE THE
12 EXPLORATORY CONCEPTS WAS A NEW MODEL FOR US, WE
13 REALLY DIDN'T HAVE A GOOD UNDERSTANDING OF EXACTLY
14 HOW MANY WOULD CHOOSE TO COME IN THROUGH THAT TRACK
15 OR THE OTHER OR WHETHER THAT WOULD OPEN UP THIS
16 OPPORTUNITY TO A WHOLE NEW POOL OF APPLICANTS.

17 SO WE SUGGESTED THAT A GOOD RATIO PERHAPS
18 TO CONSIDER WOULD BE 20 FUNDAMENTAL MECHANISM AND 10
19 EXPLORATORY CONCEPT, WHICH WOULD KEEP A GOOD FOCUS
20 ON OUR CORE NICHE, BUT ALSO ENABLE NEW OPPORTUNITIES
21 TO COME IN THROUGH THIS HIGHER RISK CATEGORY.

22 AND BEFORE WE GO INTO THE REVIEW
23 RECOMMENDATIONS, WHICH WE'RE GOING TO DO FOR ONE
24 TRACK AT A TIME, I JUST WANT TO GIVE YOU AN OVERVIEW
25 OF THE FINAL OUTCOMES OF THE SCIENTIFIC PORTION OF

BARRISTERS' REPORTING SERVICE

1 THE REVIEW, WHICH IS WHAT TOOK PLACE WITH THE GRANTS
2 WORKING GROUP. THERE WERE A TOTAL OF 20
3 APPLICATIONS ALTOGETHER PLACED INTO TIER I BY THEIR
4 SCORE OF 75 THROUGH A HUNDRED, WHICH PUTS THEM IN
5 THE RECOMMENDED FOR FUNDING CATEGORY. THE BREAKDOWN
6 WERE 16 FUNDAMENTAL MECHANISMS AWARDS AND 4
7 EXPLORATORY CONCEPT AWARDS, TOTALING ABOUT \$21.5
8 MILLION ALTOGETHER.

9 THERE WERE 14 APPLICATIONS THAT FELL INTO
10 THE TIER II CATEGORY WITH THE SCORES BETWEEN 65 AND
11 74, INDICATING THAT THEY'RE OF MODERATE QUALITY OR
12 THE REVIEWER ENTHUSIASM OR NO CONSENSUS WAS REACHED.
13 AND THESE, OF COURSE, ARE SUITABLE FOR PROGRAMMATIC
14 REVIEW AND WILL BE DISCUSSED IN A FEW MINUTES.
15 THOSE TOTAL ABOUT 13.5 MILLION.

16 AND THEN, FINALLY, THE REMAINING 28
17 APPLICATIONS WERE SCORED BETWEEN 1 AND 64 AND FELL
18 INTO THE NOT RECOMMENDED FOR FUNDING CATEGORY.
19 STAFF WILL BE MAKING SOME RECOMMENDATIONS BASED ON
20 THE TIER II CATEGORY, FIVE IN TOTAL, THREE FROM THE
21 FUNDAMENTAL MECHANISMS TRACK, AND FOUR FROM THE
22 EXPLORATORY CONCEPTS TRACK. AND I JUST WANT TO
23 POINT OUT THE PROCESS FOR THIS.

24 STAFF REVIEWED ALL 14 APPLICATIONS THAT
25 FELL INTO TIER II, AND ONLY FIVE ARE RECOMMENDED FOR

BARRISTERS' REPORTING SERVICE

1 FUNDING.

2 SO NOW I'M GOING TO GO INTO THE ACTUAL
3 REVIEW OF THE FIRST TYPE OF AWARD, THE FUNDAMENTAL
4 MECHANISMS AWARD. AGAIN, THIS IS SIMILAR TO
5 PREVIOUS ROUNDS. THEY'RE STUDIES TO ELUCIDATE
6 CELLULAR AND MOLECULAR MECHANISMS WITH KEY RELEVANCE
7 TO HUMAN STEM CELL BIOLOGY, REGENERATIVE MEDICINE,
8 AND ALSO DISEASE-IN-A-DISH TYPE OF STUDIES.

9 THESE TYPES OF PROPOSALS ARE REVIEWED BY
10 FOUR KEY CRITERIA. THE FIRST IS SIGNIFICANCE AND
11 INNOVATION. THE GRANTS WORKING GROUP ARE LOOKING AT
12 WHETHER THE PROJECT IS INNOVATIVE, WHETHER IT'S
13 FOCUSED ON MECHANISM, THAT IS A CELLULAR OR
14 MOLECULAR MECHANISM, WHETHER IT'S BASED ON A LOGICAL
15 RATIONALE AND, IF SUCCESSFUL, WOULD IT HAVE A MAJOR
16 IMPACT ON THE FIELD.

17 THE SECOND KEY CRITERION IS FEASIBILITY
18 AND EXPERIMENTAL DESIGN. REVIEWERS ARE LOOKING TO
19 SEE WHETHER THE APPROACHES PROPOSED ARE SOUND,
20 WHETHER THE AIMS ARE ACHIEVABLE, WHETHER POTENTIAL
21 PITFALLS HAVE BEEN ADDRESSED, AND ALTERNATIVE
22 APPROACHES IDENTIFIED. THEY'RE ALSO LOOKING TO SEE
23 IF THERE'S COMPELLING PRELIMINARY DATA UPON WHICH
24 THE STUDIES ARE BASED AND WHETHER OR NOT THE STUDIES
25 ARE CONDUCTED IN APPROPRIATE FACILITIES AND

BARRISTERS' REPORTING SERVICE

1 ENVIRONMENT.

2 A THIRD KEY REVIEW CRITERION IS THE TRACK
3 RECORD AND COMMITMENT OF THE PRINCIPAL INVESTIGATOR
4 AND ALSO THE QUALIFICATIONS OF THE TEAM WHO WILL BE
5 ACTUALLY CONDUCTING THE PROPOSED STUDIES.

6 AND FINALLY, WE DO ASK REVIEWERS TO TAKE A
7 SECOND LOOK AT RESPONSIVENESS TO THE RFA TO ENSURE
8 THAT THE FOCUS IN THE FULL PROPOSAL REMAINS
9 COMMITTED TO THE GOALS AND OBJECTIVES OF THE
10 FUNDAMENTAL MECHANISMS TYPE OF AWARD.

11 SO JUST LOOKING AT THE FUNDAMENTAL
12 MECHANISMS AWARDS NOW, AS MENTIONED ON THAT SLIDE
13 EARLIER, THERE WERE 16 THAT FELL INTO THE TIER I
14 CATEGORY, RECOMMENDED FOR FUNDING, AND WE AGREE WITH
15 THAT ASSESSMENT. FOR THE TIER II CATEGORY, WHICH
16 THERE WERE NINE, AND THESE ARE THE ONES THAT THE
17 SCIENCE TEAM HERE AT CIRM REVIEWED, AND WE WILL BE
18 RECOMMENDING THREE OF THOSE FOR FUNDING.

19 AND AT THIS POINT I'D LIKE TO HAND THINGS
20 OVER TO DR. SAMBRANO AND MR. SHEEHY WHO WILL SHOW
21 YOU THE ACTUAL PROPOSALS THAT FELL INTO THIS
22 CATEGORY SO THAT WE CAN LOOK AT THEM -- YOU CAN
23 CONDUCT THE PROGRAMMATIC REVIEW. AND AFTER YOU FEEL
24 THAT YOU HAVE SUFFICIENTLY EXAMINED THOSE, WE CAN
25 COME BACK TO ME AND I'LL INTRODUCE THE REVIEW

BARRISTERS' REPORTING SERVICE

1 CRITERIA FOR THE OTHER TYPE OF AWARD.

2 AND BEFORE I HAND THINGS OFF, I JUST
3 WANTED TO GIVE PEOPLE AN OPPORTUNITY TO ASK
4 QUESTIONS IF THEY HAD ANY BECAUSE I'VE BEEN TALKING
5 NONSTOP FOR SOME TIME NOW.

6 DR. LUBIN: THAT WAS AN EXCELLENT
7 PRESENTATION.

8 DR. SHEPARD: THANK YOU.

9 MR. SHEEHY: THANK YOU, DR. SHEPARD.

10 DR. SHEPARD: THANK YOU.

11 MR. SHEEHY: SO SHOULD I PERHAPS TALK
12 ABOUT THE PROCESS WHILE THEY'RE PULLING THIS UP?

13 CHAIRMAN THOMAS: CERTAINLY.

14 MR. SHEEHY: SO WHAT YOU'LL SEE IS THAT
15 THE GRANTS BASICALLY ARE ORGANIZED INTO THREE TIERS.
16 SO WE TRY TO KEEP THIS FAIRLY STRUCTURED SO WE DON'T
17 END UP WITH CHAOS. SO THE FIRST SET OF MOTIONS I
18 WILL ACCEPT WILL BE MOTIONS TO MOVE ANYTHING OUT OF
19 TIER I, WHICH ARE THOSE THAT HAVE BEEN REVIEWED AND
20 RECOMMENDED AS HIGHLY MERITORIOUS BY THE GRANTS
21 WORKING GROUP. IF THERE ARE NO MOTIONS FOR THAT,
22 THEN THE NEXT TIER I WILL GO INTO WILL BE TIER II.
23 AND I'LL TAKE MOTIONS TO MOVE ANY OF THOSE INTO TIER
24 I, AND I WILL START WITH THE STAFF RECOMMENDATIONS
25 AND STAFF WILL PRESENT THEIR RECOMMENDATIONS, AND

BARRISTERS' REPORTING SERVICE

1 THEN WE WILL HOPE THAT BOARD MEMBERS WILL EITHER
2 MAKE A RECOMMENDATION -- WE'LL MAKE SOME SORT OF A
3 MOTION BASED ON THE STAFF RECOMMENDATION.

4 AND THEN ONCE WE'VE DONE THAT, AND PUBLIC
5 COMMENT CAN COME ON ANY APPLICATION THAT IS UNDER
6 REVIEW BY THE BOARD; THAT IS, ONE THAT HAS A MOTION
7 AND A SECOND, OR PUBLIC COMMENT CAN COME AT THE END
8 WHEN WE TAKE THE GLOBAL MOTION, WHICH IS TO
9 RECOMMEND ALL OF THOSE IN TIER I -- FUND ALL THOSE
10 IN TIER I AND NOT TO FUND ALL THOSE IN TIER II, NOT
11 TO FUND ANY OF THE REST. DID I GET THAT RIGHT? I
12 SHOULD GET A SCRIPT FOR THIS. IT'S EARLY. TOOK ME
13 OUT OF ORDER THIS MORNING.

14 IS THAT CLEAR? DOES EVERYONE KIND OF GET
15 HOW WE'LL GO THROUGH THIS?

16 DR. LUBIN: WHERE ARE THESE, JEFF? WHERE
17 ARE THESE IN THE BOOK?

18 MR. SHEEHY: I PRINTED THEM OUT IN
19 ADVANCE, SO I KIND OF CHEATED.

20 CHAIRMAN THOMAS: IT WOULD BE TAB 8.

21 MR. SHEEHY: AND JUST ONE OTHER SMALL
22 NOTE. DR. PRIETO, ARE YOU PREPARED TO TAKE THE
23 CHAIR FOR THOSE WITH WHICH I HAVE A CONFLICT?

24 DR. PRIETO: YES.

25 MR. SHEEHY: I THINK THAT THERE MAY BE ONE

BARRISTERS' REPORTING SERVICE

1 OR TWO UNDER DISCUSSION, MAYBE MORE, BUT ONE OR TWO
2 THAT I WOULD HAVE A CONFLICT WITH. SO ONCE THAT
3 MOTION IS MADE AND SECONDED, THEN I'LL PASS TO YOU
4 IF THAT'S OKAY.

5 JAMES.

6 MR. HARRISON: JUST ONE ADDITIONAL POINT.
7 BECAUSE THESE PROCESSES ARE STILL FAIRLY NEW, A
8 REMINDER FOR THOSE OF YOU WHO ARE APPOINTED FROM
9 INSTITUTIONS THAT ARE ELIGIBLE FOR CIRM FUNDING, YOU
10 DO NOT PARTICIPATE IN THE VOTE ON THESE
11 APPLICATIONS; HOWEVER, YOU CAN PARTICIPATE IN THE
12 DEBATE AND DISCUSSION PROVIDED THE APPLICATION IS
13 NOT FROM YOUR OWN INSTITUTION.

14 MR. TORRES: YOU'RE ASKING FOR MOVEMENT
15 FROM TIER II TO TIER I?

16 MR. SHEEHY: I THINK DR. SAMBRANO WAS
17 GOING TO JUST SHOW US THE TIERS. I HOPE EVERYBODY
18 CAN -- DO YOU HAVE ANY REMARKS?

19 DR. SAMBRANO: SO THE ONLY THING I WAS
20 GOING TO PRESENT WAS BASICALLY THE FACT THAT I PUT
21 THIS SHEET UP THAT'S ALSO IN YOUR BOOK. THIS IS
22 FOCUSED ONLY NOW ON THE FUNDAMENTAL MECHANISMS
23 APPLICATIONS. AND WHAT YOU'RE SEEING IS ESSENTIALLY
24 THE TIER I, WHICH ARE ALL IN GREEN, AND I CAN SCROLL
25 DOWN AS YOU'D LIKE. AND THE REASON I SHOW THIS IS

BARRISTERS' REPORTING SERVICE

1 THAT AT THE VERY TOP I AM KEEPING TRACK OF THE
2 BUDGET. SO IF YOU CHOOSE TO APPROVE ANYTHING IN
3 TIER II, THAT BUDGET WILL BE UPDATED AS WE GO ALONG.

4 CHAIRMAN THOMAS: DEAN PULIAFITO HAS A
5 QUESTION.

6 DR. PULIAFITO: WHERE ARE THESE IN THE
7 BOOK AND WHAT COLOR ARE THEY IN THE BOOK?

8 CHAIRMAN THOMAS: THEY'RE WHITE.

9 DR. SAMBRANO: I THINK THEY'RE WHITE.
10 ITEM 8.

11 DR. PULIAFITO: WHAT?

12 DR. SAMBRANO: UNDER ITEM 8.

13 DR. PULIAFITO: AND AS AN OPHTHALMOLOGIST,
14 MR. CHAIRMAN, I MOVE THAT WE GET A BETTER PROJECTOR.

15 CHAIRMAN THOMAS: SO NOTED, MR. DEAN.
16 THANK YOU.

17 DR. PULIAFITO: IT DOESN'T MEAN YOU NEED A
18 CATARACT OPERATION IF YOU CAN'T READ THAT.

19 CHAIRMAN THOMAS: THAT'S VERY REASSURING.
20 THANK YOU, DEAN PULIAFITO.

21 DR. SAMBRANO: SO THE INTENT HERE IS NOT
22 FOR YOU TO READ THIS GRAPH. AGAIN, THIS IS REALLY
23 ABOUT THE BUDGET. AND I'M HAPPY TO BLOW THAT UP.
24 IT'S SIMPLY FOR US TO KEEP TRACK. THIS TABLE IS IN
25 THE BOOK. AND ALSO I WANT TO INDICATE TO YOU THAT

BARRISTERS' REPORTING SERVICE

1 THE STAFF RECOMMENDATIONS ARE IN THE FORM OF A MEMO
2 THAT SHOULD ALSO BE IN THAT SECTION. SO IT LISTS
3 THE FIVE PROPOSALS THAT ARE IN TIER II THAT STAFF IS
4 RECOMMENDING.

5 MR. SHEEHY: JUST SO IT'S ON PAGE -- IT
6 STARTS UNDER TAB 8, AND WE'RE ON PAGE 2 OF 4. AND
7 AT THE TOP IT WILL SAY TRACK 1, FUNDAMENTAL
8 MECHANISM APPLICATIONS. AND SO THOSE FIRST -- HOW
9 MANY OF THOSE ARE THERE? THEY'RE IN WHITE. SO
10 THEY'RE ON PAGE 2 IF MEMBERS WANT TO GO.

11 DR. SAMBRANO: RIGHT. THERE ARE 16 IN
12 TIER I.

13 MR. SHEEHY: SO THERE'S 16 WHITE ONES.
14 AND JUST TO BE CLEAR, AS FOLKS FIND THOSE
15 APPLICATIONS, AND HOPEFULLY, AGAIN, IT'S
16 PAGE -- THERE'S FOUR PAGES OF APPLICATIONS. WE'RE
17 STARTING BASICALLY ROUGHLY AT THE TOP OF PAGE 2
18 UNDER THE TRACK 1 FUNDAMENTAL MECHANISMS. SO THE
19 FIRST MOTION I WOULD TAKE IS IF ANYONE WOULD LIKE TO
20 MAKE A MOTION TO TAKE ANY OF THOSE APPLICATIONS IN
21 TIER I OUT OF TIER I AND NOT HAVE THEM BE FUNDED.

22 DR. DULIEGE: I'M SORRY. JUST TO CLARIFY,
23 ARE YOU ASKING IF THERE'S ANY ONE OF THESE THAT WE
24 WOULDN'T WANT TO BE FUNDED?

25 MR. SHEEHY: JUST IN TERMS OF PROCESS, WE

BARRISTERS' REPORTING SERVICE

1 SHOULD LOOK AT THEM. WE GENERALLY DON'T DO THAT.

2 DR. DULIEGE: I KNOW I WOULD ANSWER NO,
3 THERE'S NO ONE I WOULD TAKE OUT OF THIS LIST. AND
4 SO SHOULD WE MAKE A MOTION TO APPROVE ALL THE TIER
5 I'S?

6 MR. SHEEHY: NO. WE ALWAYS HAVE TO GIVE
7 PEOPLE THE OPPORTUNITY TO TAKE SOMETHING OUT OF THE
8 RECOMMENDED FOR FUNDING CATEGORY. AND IF THERE IS
9 NO RECOMMENDATION TO DO THAT, THEN I THINK -- AND AT
10 THIS POINT I THINK I MAY PASS THE CHAIR OVER TO DR.
11 PRIETO BECAUSE I THINK WE CAN START WITH THE NEXT
12 LOWEST SCORE, WHICH IS THE FIRST ONE IN THE GRAY
13 AREA WITH WHICH I HAVE A CONFLICT, AND I BELIEVE
14 THERE'S A STAFF RECOMMENDATION ON THAT ONE. AM I
15 CORRECT? SO PERHAPS WE COULD PRESENT THE STAFF
16 RECOMMENDATION.

17 DR. PRIETO: ARE WE TALKING ABOUT 6935?

18 MR. SHEEHY: 7409.

19 DR. PRIETO: I'M SORRY. YOU'RE RIGHT. ON
20 THE PREVIOUS PAGE.

21 DR. DULIEGE: CAN WE JUST PUT MORE LIGHT
22 ON BECAUSE THERE'S NO WAY WE'RE GOING TO BE ABLE TO
23 READ THAT, AND WE SHOULD AT LEAST BE ABLE TO READ
24 OUR BINDERS. SO JUST A LITTLE BIT MORE LIGHT.
25 THANK YOU.

BARRISTERS' REPORTING SERVICE

1 DR. PRIETO: QUESTION, GIL. IS THERE A
2 SEPARATE PAGE IN OUR BINDER THAT SUMMARIZES THE
3 STAFF RECOMMENDATIONS?

4 DR. SAMBRANO: YES. THERE IS A PAGE
5 THAT'S IN THE FORM OF A MEMO, AND IT PRESENTS EACH
6 OF THESE. BUT I THINK THE IDEA HERE IS THAT WE WILL
7 GO OVER EACH OF THESE STAFF RECOMMENDATIONS AND
8 PRESENT THEM TO YOU. SO WE HAVE A SLIDE THAT
9 SUMMARIZES EACH OF THEM.

10 DR. SHEPARD: SO WE DO HAVE A SLIDE FOR
11 EACH OF THE RECOMMENDATIONS THAT SUMMARIZE WHAT'S IN
12 THAT MEMO. AND IF YOU LIKE, I COULD JUST READ
13 THROUGH THE SLIDE. AND THEN IF YOU HAVE ANY
14 SPECIFIC QUESTIONS ABOUT IT, THERE ARE SCIENCE
15 OFFICERS PREPARED TO ANSWER MORE IN-DEPTH QUESTIONS
16 IF YOU HAVE ANY THAN WHAT CAN BE GLEANED FROM THE
17 SLIDE. WOULD THAT WORK?

18 OKAY. ONE THING I DO WANT TO MENTION
19 BEFORE WE GO INTO THESE IS THAT THIS IS THE FIRST
20 TIME WITH THE BASIC BIOLOGY REVIEW THAT THE
21 PROGRAMMATIC IS TAKING PLACE HERE AT THE BOARD
22 MEETING. AND SO WHEN THE CONCEPT WAS PROPOSED, IT
23 WAS BEFORE WE CHANGED THAT PROCESS. AND WHEN WE
24 ENVISIONED THE CONCEPT, WE ENVISIONED THE
25 POSSIBILITY THAT THE PROGRAMMATIC REVIEW WOULD BE A

BARRISTERS' REPORTING SERVICE

1 MEANS OF BALANCING THE NUMBER OF HIGHER RISK
2 EXPLORATORY CONCEPT PROPOSALS WITH THE FUNDAMENTAL
3 MECHANISMS. SO THAT MIGHT BE SOMETHING YOU KEEP IN
4 MIND AS WE GO THROUGH THESE DISCUSSIONS AND WE
5 PRESENT SOME OF THE ARGUMENTS THAT WE FELT WERE THE
6 COMPELLING REASONS FOR RECOMMENDING THESE STAFF
7 RECOMMENDATIONS.

8 OKAY. SO THE FIRST ONE WE'LL TALK ABOUT
9 IS RB5-06935. THE TITLE IS "MISREGULATED MITOPHAGY
10 IN PARKINSONIAN NEURODEGENERATION." SO THIS IS
11 BASICALLY A DISEASE-IN-A-DISH-TYPE STUDY TO TRY TO
12 GET AT THE MECHANISMS OF CERTAIN FORMS OF
13 PARKINSON'S DISEASE. SO THE KEY POINTS OF
14 CONSIDERATION FOR PROGRAMMATIC REVIEW ARE THAT
15 MECHANISMS LINKING MITOCHONDRIAL DYSFUNCTION TO
16 NEURONAL DEATH IN PARKINSON'S DISEASE REMAIN
17 UNCLEAR. CIRM IS CURRENTLY FUNDING THREE IPSC-BASED
18 PARKINSON'S DISEASE MODELING STUDIES, BUT NONE OF
19 THEM ADDRESSES POTENTIAL MITOCHONDRIAL MECHANISM FOR
20 THIS DISEASE.

21 SO REVIEWERS WERE GENERALLY IN AGREEMENT
22 THAT THE SCIENTIFIC MERIT OF THIS PROPOSAL WAS HIGH.
23 HOWEVER, THEIR OPINIONS DIFFERED REGARDING THE
24 NOVELTY OF THE PROJECT AS A WHOLE. IT'S BASED ON
25 FINDINGS THAT HAVE ALREADY BEEN DESCRIBED IN ANIMAL

BARRISTERS' REPORTING SERVICE

1 MODELS. SO SOME REVIEWERS FELT THAT ANY NEW
2 FINDINGS WOULD NOT PERHAPS BE TERRIBLY UNEXPECTED
3 BASED ON WHAT'S ALREADY KNOWN. HOWEVER, THIS IS THE
4 FIRST ATTEMPT TO VALIDATE THIS MECHANISM IN A
5 DISEASED HUMAN NEURON TAKEN FROM PATIENTS WITH
6 PARKINSON'S DISEASE. SO IN THAT ASPECT OTHER
7 REVIEWERS FELT THAT THAT WAS WHERE THE NOVELTY LIES.

8 SO THE STAFF RECOMMENDATION IS THAT WE
9 BELIEVE THAT THERE'S VALUE TO STUDYING THIS
10 MECHANISM IN DISEASED HUMAN NEURONS. AND IF FUNDED,
11 WE WOULD ENSURE COMMITMENT OF A CONTRIBUTOR WITH THE
12 REQUIRED EXPERTISE. THIS INVESTIGATOR IS FAIRLY
13 EARLY CAREER AND HAS AN EXCELLENT RECORD, BUT EARLY
14 CAREER, AND REVIEWERS DID COMMENT THAT IT WOULD BE
15 IMPORTANT THAT A KEY CONTRIBUTOR MENTIONED IN THE
16 APPLICATION STAYS INVOLVED EVEN THOUGH NO COMMITMENT
17 SPECIFICALLY WAS MENTIONED. SO WE WOULD ENSURE THAT
18 THAT OCCURS IF YOU GO WITH THIS RECOMMENDATION.

19 AND IF ANYBODY HAS MORE QUESTIONS ABOUT
20 THE SCIENCE BEHIND THIS APPLICATION OR MORE DETAILS,
21 MY COLLEAGUE, DR. GRISHAMMER, WOULD BE HAPPY TO
22 EXPLAIN THAT.

23 MR. TORRES: I WOULD LIKE TO MOVE THIS
24 ITEM INTO THE FUNDING CATEGORY BASED UPON STAFF'S
25 RECOMMENDATION AND ALSO FROM A PROGRAMMATIC REVIEW,

BARRISTERS' REPORTING SERVICE

1 THE FACT THAT OUR PORTFOLIO REALLY IS LACKING IN
2 PARKINSON'S RESEARCH. AND THAT'S SO IMPORTANT
3 ESPECIALLY WITH THE IMPACT THAT THIS HAS HAD ON OUR
4 BOARD MEMBER JOAN SAMUELSON. I SO MOVE.

5 DR. JUELSGAARD: SECOND THE MOTION.

6 MR. SHEEHY: I ACTUALLY DON'T HAVE A
7 CONFLICT WITH THIS ONE. THE OTHER TWO I HAVE A
8 CONFLICT WITH. SORRY. TOOK IT A LITTLE OUT OF
9 ORDER. SO WE HAVE THE MOTION ON THE FLOOR TO
10 FUND -- TO BASICALLY ACCEPT THE STAFF RECOMMENDATION
11 AND MOVE THIS INTO THE FUNDABLE CATEGORY WITH THE
12 CAVEATS THAT COULD BE INCLUDED WITHIN THE
13 RECOMMENDATION.

14 CHAIRMAN THOMAS: WE HAVE A QUESTION FROM
15 DR. PRIETO.

16 DR. PRIETO: SO WHAT WILL THIS DO TO THE
17 BUDGET? HOW MUCH DOES IT INCREASE THE BUDGET FOR
18 EACH ONE IN EACH CATEGORY THAT WE ADD?

19 DR. SHEPARD: IF YOU ACCEPTED ALL THREE OF
20 THE STAFF RECOMMENDATIONS, THAT WOULD ADD 3.8
21 MILLION TO THE TOTAL BUDGET. I DON'T HAVE THE SHEET
22 WITH THE SPECIFIC BREAKDOWN FOR THIS ONE. 1.2
23 MILLION.

24 DR. PRIETO: FOR THIS APPLICATION?

25 DR. SHEPARD: FOR THIS APPLICATION.

BARRISTERS' REPORTING SERVICE

1 DR. PRIETO: OKAY. THANK YOU.

2 MR. SHEEHY: OTHER BOARD COMMENTS?

3 DISCUSSION? I HAVE DR. LEVIN AND DR. LUBIN AND THEN
4 DR. DULIEGE. SO MAYBE WE'LL TAKE DR. LEVIN, DR.
5 LUBIN, AND THEN DR. DULIEGE.

6 DR. LEVIN: THANKS, JEFF. I JUST WANTED
7 TO MAKE A QUICK PROCESS QUESTION BEFORE WE GET INTO
8 THE GRANTS THAT I'M CONFLICTED ON. SO I APOLOGIZE.
9 I MISSED THE LAST TWO BOARD MEETINGS IN PART DUE TO
10 COMPLETION OF MY OWN HUMAN EMBRYONIC STEM CELL
11 PROJECT.

12 MR. TORRES: CONGRATULATIONS.

13 DR. LEVIN: IS THIS OUR NEW PROCESS NOW
14 FOR ALL GRANT ROUNDS, THAT WE ALWAYS GET THE STAFF
15 COMMENTS INSERTED BEFORE ANY SORT OF VOTE, AND WE'RE
16 DOING THIS FOR EVERY GRANT ROUND BECAUSE THAT WASN'T
17 THE CASE.

18 DR. PRIETO: WE DO THE PROGRAMMATIC REVIEW
19 HERE NOW.

20 MR. SHEEHY: BUT WE TAKE -- I BELIEVE IT'S
21 APPROPRIATE AND BASICALLY RESPECTFUL OF STAFF'S
22 EFFORT TO MAKE SOME SORT OF DECISION ON THEIR
23 RECOMMENDATIONS FIRST BEFORE WE KIND OF HAVE A FREE
24 FOR ALL WITH ALL THE REST.

25 DR. LEVIN: ONLY FOR TIER II.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: YES. CAN I JUST SPEAK
2 TO THAT? SO UNDER THE NEW PROTOCOL, THE STAFF, WITH
3 RESPECT TO EACH ROUND, EVALUATE THE PROJECTS IN TIER
4 II AND MAKE RECOMMENDATIONS TO THE BOARD IF THEY
5 BELIEVE THAT CERTAIN OF THOSE SHOULD BE FUNDED. AND
6 WE'VE HAD THAT NOW IN PLACE AND THIS IS THE
7 PROCEDURE WE WILL HAVE GOING FORWARD.

8 DR. LEVIN: THANKS.

9 MR. SHEEHY: AND WE DON'T HAVE TO ACCEPT
10 THE STAFF RECOMMENDATIONS, NOR ARE WE PREVENTED FROM
11 RECOMMENDING FUNDING OTHER APPLICATIONS THAT WERE
12 NOT RECOMMENDED BY STAFF THAT DIDN'T HAVE A STAFF
13 RECOMMENDATION. BUT MERELY THESE ARE THE ONES WHERE
14 WE TAKE ADVANTAGE OF THE TREMENDOUS EXPERTISE WE
15 HAVE ON STAFF AND GIVE THEM A CUT. AND, AGAIN, I
16 THINK IT'S VERY RESPECTFUL TO TRY TO DEAL WITH THOSE
17 FIRST BEFORE WE DEAL WITH THE REST OF THEM.

18 AND THEN I HAD DR. LUBIN.

19 DR. LUBIN: SO I JUST WANTED TO SAY THE
20 FACT THAT THIS IS A YOUNG INVESTIGATOR IS GOOD.
21 WHEN THEY DID THE INNOVATIVE AWARDS OR THE NEW
22 AWARDS, THE OLD SETTLERS GOT THE AWARDS, NOT THE NEW
23 INVENTORS. AND I THINK IT'S A GOOD IDEA TO GO IN
24 THAT DIRECTION. SO I JUST WANTED TO COMMENT ON THAT
25 FOR ANYONE THAT WAS ON THE FENCE.

BARRISTERS' REPORTING SERVICE

1 DR. DULIEGE: ACTUALLY IN MY CASE IT'S A
2 MORE GENERAL QUESTION ABOUT THE BUDGET SO AS TO
3 CLARIFY THAT IF WE WERE TO APPROVE ALL CURRENT
4 RECOMMENDATIONS FROM THE CIRM STAFF, I UNDERSTAND IT
5 WOULD BE STILL UNDER BUDGET. BUT THERE'S QUITE A
6 BIT, ABOUT \$26 MILLION OUT OF \$40 MILLION, JUST TO
7 GIVE A PERSPECTIVE.

8 MR. SHEEHY: ADDITIONAL DISCUSSION BY
9 BOARD MEMBERS? DID I MISS ANYONE? ANY PUBLIC
10 COMMENT? THEN I THINK WE'RE AT A POINT WHERE WE CAN
11 CALL THE ROLL.

12 MS. BONNEVILLE: MARCY FEIT.

13 MS. FEIT: AYE. I THOUGHT I WAS
14 CONFLICTED WITH THIS ONE. IT'S ON MY CONFLICTS
15 SHEET.

16 MR. HARRISON: TO BE CLEAR, THIS IS A
17 MOTION TO MOVE APPLICATION 6935 TO TIER I.

18 MS. FEIT: SO I CAN. THEN YES.

19 MS. BONNEVILLE: STEVE JUELSGAARD.

20 DR. JUELSGAARD: AYE.

21 MS. BONNEVILLE: SHERRY LANSING. LAUREN
22 MILLER.

23 MS. MILLER: AYE.

24 MS. BONNEVILLE: JOE PANETTA.

25 MR. PANETTA: AYE.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: FRANCISCO PRIETO.
2 DR. PRIETO: AYE.
3 MS. BONNEVILLE: ROBERT QUINT.
4 DR. QUINT: AYE.
5 MS. BONNEVILLE: AL ROWLETT. JOAN
6 SAMUELSON. JEFF SHEEHY.
7 MR. SHEEHY: YES.
8 MS. BONNEVILLE: JONATHAN THOMAS.
9 CHAIRMAN THOMAS: YES.
10 MS. BONNEVILLE: ART TORRES.
11 MR. TORRES: AYE.
12 MS. BONNEVILLE: DIANE WINOKUR.
13 MS. WINOKUR: YES.
14 MR. HARRISON: MOTION CARRIES BY A VOTE OF
15 TEN TO ZERO.
16 MR. SHEEHY: OKAY. SO I THINK AT THIS
17 POINT IT IS DEFINITELY IN YOUR HANDS, DR. PRIETO,
18 BECAUSE I THINK I'M FAIRLY CERTAIN THAT THE NEXT TWO
19 STAFF RECOMMENDATIONS ARE ONES THAT I HAVE A
20 CONFLICT WITH.
21 DR. PRIETO: OKAY. SO JUST TO BE CLEAR,
22 WE'RE GOING TO GO IN ORDER, THEN, STARTING WITH
23 7409; IS THAT CORRECT?
24 DR. SHEPARD: YES.
25 DR. PRIETO: IS THERE A STAFF

BARRISTERS' REPORTING SERVICE

1 RECOMMENDATION?

2 DR. SHEPARD: SO THIS IS RB5-07409, TITLED
3 "BIOPHYSICAL DETERMINANTS OF EARLY EMBRYONIC STEM
4 CELL FATE SPECIFICATION." SO THIS PROPOSAL
5 REPRESENTS A UNIQUE OPPORTUNITY TO INVESTIGATE CELL
6 MOVEMENTS THAT ARE CRITICAL FOR EARLY HUMAN
7 DEVELOPMENT UTILIZING HUMAN PLURIPOTENT STEM CELLS.
8 THERE ARE NO SIMILAR PROJECTS WITHIN CIRM'S RESEARCH
9 PORTFOLIO THAT ARE ATTEMPTING TO INVESTIGATE THIS
10 STAGE.

11 REVIEWERS REALLY LIKED THIS PROPOSAL, BUT
12 THEY FELT IT WAS VERY HIGH RISK AND POTENTIALLY HIGH
13 REWARD. IT CAME IN THROUGH THE FUNDAMENTAL
14 MECHANISMS TRACK. SO THAT WAS A REVIEW CRITERIA
15 THAT MIGHT HAVE CONTRIBUTED TO A SLIGHT LESSENING OF
16 ENTHUSIASM ON THEIR PART. HOWEVER, THERE ARE NO
17 OTHER BASIC RESEARCH GRANTS IN CIRM'S PORTFOLIO OR
18 IN ANY OF THE GRANT PROGRAMS, AS A MATTER OF FACT,
19 TACKLING THIS SPECIFIC AREA. AND SO WE FELT IT
20 WOULD BE A GOOD OPPORTUNITY TO HAVE SOMETHING LIKE
21 THIS THAT WE FUND. GIVEN THE FACT THAT IT IS HIGH
22 RISK, WE PROPOSE THAT RISK WOULD BE MANAGED, IF YOU
23 DECIDE TO FUND THIS, BY IMPLEMENTING APPROPRIATE
24 MILESTONES.

25 SO ONE OF THE KEY RISK FACTORS, AND WHEN

BARRISTERS' REPORTING SERVICE

1 WE TALK ABOUT RISK IN OUR BASIC BIOLOGY GRANTS, I
2 SHOULD MENTION WE'RE NOT TALKING ABOUT RISK AS INTO
3 PATIENT'S HEALTH OR ANYTHING LIKE THAT. IT'S THE
4 RISK OF SUCCESS. IS IT BASED ON A WELL-ESTABLISHED
5 PREMISE AND HOW LIKELY IS IT TO SUCCEED?

6 IN THIS CASE THIS IS A TECHNICALLY
7 COMPLICATED PROPOSAL WHERE A LOT OF CRITICAL TOOLS
8 ARE UNDER DEVELOPMENT THAT WILL BE NECESSARY TO
9 ACHIEVE THE PROPOSED RESEARCH. SO WE WOULD PROPOSE
10 THAT THERE COULD BE AN EARLY MILESTONE TO ENSURE
11 THAT THOSE CRITICAL TOOLS ARE IN HAND BEFORE
12 ENABLING THE MECHANISTIC STUDIES THAT DEPEND ON
13 THOSE TOOLS TO GO FORWARD. SO THAT'S THE STAFF
14 RECOMMENDATION.

15 IF ANYBODY WOULD LIKE TO KNOW MORE ABOUT
16 THE SPECIFIC TECHNIQUES OR SCIENCE BEING
17 INVESTIGATED HERE, MY COLLEAGUE, DR. UTA GRISHAMMER,
18 IS PREPARED TO ANSWER YOUR QUESTIONS.

19 DR. PRIETO: I'LL ENTERTAIN A MOTION TO
20 MOVE THIS INTO TIER I.

21 DR. JUELSGAARD: SO MOVED.

22 DR. PRIETO: MOVED BY DR. JUELSGAARD. AND
23 A SECOND?

24 CHAIRMAN THOMAS: I'LL SECOND.

25 DR. PRIETO: THANK YOU. ANYONE HAVE

BARRISTERS' REPORTING SERVICE

1 QUESTIONS FOR THE SCIENTIFIC STAFF? OKAY. MARIA,
2 DO YOU WANT TO CALL THE ROLL.

3 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

4 DR. DULIEGE: YES.

5 MS. BONNEVILLE: STEVE JUELSGAARD.

6 DR. JUELSGAARD: AYE.

7 MS. BONNEVILLE: LAUREN MILLER.

8 MS. MILLER: AYE.

9 MS. BONNEVILLE: JOE PANETTA.

10 MR. PANETTA: AYE.

11 MS. BONNEVILLE: FRANCISCO PRIETO.

12 DR. PRIETO: AYE.

13 MS. BONNEVILLE: ROBERT QUINT.

14 DR. QUINT: YES.

15 MS. BONNEVILLE: AL ROWLETT. JOAN

16 SAMUELSON. OS STEWARD. JONATHAN THOMAS.

17 CHAIRMAN THOMAS: YES.

18 MS. BONNEVILLE: ART TORRES.

19 MR. TORRES: AYE.

20 MS. BONNEVILLE: DIANE WINOKUR.

21 MS. WINOKUR: YES.

22 DR. PRIETO: OKAY. ON THE NEXT ONE, ARE
23 THERE CONFLICTS?

24 MR. HARRISON: DR. PRIETO, FOR THE RECORD
25 THAT MOTION CARRIES BY A VOTE OF NINE TO ZERO.

BARRISTERS' REPORTING SERVICE

1 DR. PRIETO: THANK YOU. 7285.

2 DR. SHEPARD: IS EVERYBODY READY? OKAY.

3 THIS IS RB5-07285, TITLED A "NOVEL DRUGGABLE
4 MECHANISM TO SAFEGUARD STEM CELL GENOME." SO
5 ASSURANCE OF GENOME STABILITY IN CELL POPULATIONS
6 THAT ARE INTENDED FOR THERAPY IS A HIGHLY DESIRABLE
7 GOAL. AFTER ALL, IF YOU'RE GOING TO BE
8 TRANSPLANTING CELLS INTO A PATIENT AND YOU EXPECT
9 THEM TO INTEGRATE INTO TISSUES AND BE PRESENT FOR
10 ANY LENGTH OF TIME, YOU WANT TO BE SURE THAT THEY'RE
11 SAFE, THAT THEIR GENOME ISN'T UNDERGOING SOME KIND
12 OF CHANGE THAT COULD BE POTENTIALLY MUTAGENIC OR
13 ONCOGENIC. SO THE ABILITY TO HAVE A DRUG OR SOME
14 SORT OF TREATMENT THAT COULD ASSURE GENOME STABILITY
15 COULD BE VERY BROADLY APPLICABLE, ESPECIALLY IF IT
16 COULD BE APPLIED TO MULTIPLE DIFFERENT -- IF IT
17 COULD BE APPLIED TO MULTIPLE DIFFERENT TYPES OF CELL
18 POPULATIONS THAT ARE CONSIDERED FOR THERAPEUTIC
19 APPLICATION THAT WOULD BE TRANSFORMATIVE.

20 SO THIS PROJECT COULD HAVE POTENTIAL
21 RELEVANCE TO MANY DIFFERENT TYPES OF STEM
22 CELL-DERIVED POPULATIONS CONSIDERED FOR THERAPY
23 DEVELOPMENT. SO IN THAT RESPECT, IT COULD HAVE A
24 POTENTIALLY TRANSFORMATIVE IMPACT. THE PROPOSAL
25 ADDRESSES AN RFA PRIORITY FOR TRACK 1, UNDERSTANDING

BARRISTERS' REPORTING SERVICE

1 GENOME STABILITY; HOWEVER, IT'S EXTREMELY HIGH RISK.
2 THE PRELIMINARY OBSERVATIONS ARE ENTIRELY BASED IN
3 ANIMAL STUDIES, AND REVIEWERS WERE NOT SURE WHETHER
4 THEY WOULD BE RELEVANT OR REPRODUCIBLE IN THE HUMAN
5 SYSTEM. HOWEVER, IF THEY WERE TO BE, THEY WOULD
6 HAVE BEEN EXTREMELY ENTHUSIASTIC.

7 SO CIRM FELT THAT TO MITIGATE THIS RISK,
8 AGAIN, THIS IS A PROPOSAL THAT CAME IN THROUGH THE
9 FUNDAMENTAL MECHANISMS TRACK RATHER THAN THE HIGHER
10 RISK TRACK, BUT NONETHELESS, IT COULD REPRESENT A
11 UNIQUE RESEARCH OPPORTUNITY FOR CIRM. SO WE FELT
12 THAT IF YOU ARE SUPPORTIVE OF FUNDING, CIRM COULD
13 WORK WITH THE APPLICANT TO ENSURE THAT A MILESTONE
14 BE INCLUDED SUCH THAT THEY MUST FIRST DEMONSTRATE
15 THE VALIDITY OF THEIR OBSERVATIONS IN THE HUMAN
16 SYSTEM BEFORE EMBARKING ON THE EXTENSIVE MECHANISTIC
17 EXPLANATIONS THAT ARE PROPOSED BECAUSE BASICALLY A
18 GOOD PORTION OF THE PROPOSAL IS AIMED AT
19 UNDERSTANDING THE MECHANISMS BY WHICH THIS DRUG THAT
20 POTENTIALLY ASSURES GENOME STABILITY OPERATES.

21 SO THAT'S THE STAFF RECOMMENDATION FOR
22 THIS PROPOSAL. AND IF YOU'D LIKE TO KNOW MORE
23 IN-DEPTH DETAILS ABOUT THE SCIENCE, MY COLLEAGUE,
24 DR. MANI VESSAL, IS PREPARED TO ANSWER YOUR
25 QUESTIONS.

BARRISTERS' REPORTING SERVICE

1 DR. PRIETO: DR. JUELSGAARD.

2 DR. JUELSGAARD: YES. SO THERE'S -- IN
3 THE REVIEW BY THE GRANTS WORKING GROUP, THERE ARE
4 TWO COMMENTS THAT I WOULD LIKE JUST SOME RESPONSE
5 TO. ONE IS THE PROPOSAL IS NOT INNOVATIVE, AND THE
6 SECOND IS THE PROPOSAL MAKES USE OF A SMALL MOLECULE
7 IDENTIFIED BY THE PI AND FOUND THE CLAIMS THAT IT
8 DEMONSTRATES UNPRECEDENTED EFFECTS ON PRESERVING
9 GENOMIC INTEGRITY TO BE OVERSTATED. SO IF WE COULD
10 GET SOME RESPONSE TO THOSE CRITICISMS OF THIS
11 PARTICULAR PROPOSAL, PLEASE.

12 DR. SHEPARD: WELL, AGAIN, AS WITH ONE OF
13 THE EARLIER APPLICATIONS WE DISCUSSED, THERE WAS
14 SOME SPLIT OPINIONS BY THE REVIEWERS ON WHETHER OR
15 NOT IT'S INNOVATIVE. IN THIS CASE THIS IS NOT THE
16 FIRST TIME SOMEBODY HAS OR OTHER RESEARCHERS HAVE
17 DESCRIBED THE SCREENING OF SMALL MOLECULES AND
18 LOOKING FOR POTENTIALLY VERY EXCITING PROPERTIES
19 THAT CAN IMPACT GENOME STABILITY OR IMPROVED
20 REPROGRAMMING EFFICIENCY, ETC. SO THE GENERAL
21 APPROACH OF IDENTIFYING DRUGS THAT CAN CONVEY THESE
22 PROPERTIES IS NOT NOVEL. THE TYPES OF EXPERIMENTS
23 THAT ARE PROPOSED TO INVESTIGATE THE MECHANISM ARE
24 VERY STANDARD ASSAYS IN THE FIELD.

25 SO IN TERMS OF THE METHODOLOGIES EMPLOYED,

BARRISTERS' REPORTING SERVICE

1 THAT'S NOT PARTICULARLY INNOVATIVE. WHAT WOULD BE
2 INNOVATIVE IS IF THIS SMALL MOLECULE TURNS OUT TO
3 HAVE THE ACTIVITY THAT IS CLAIMED FOR IT. AND AS
4 MENTIONED IN THE SUMMARY, SOME OF THE REVIEWERS FELT
5 THAT PERHAPS IT WAS BEING OVERSOLD; WHEREAS, OTHERS
6 FELT THAT, IF THIS IS ACTUALLY TRUE, THIS COULD BE
7 VERY IMPORTANT. SO FOR THIS REASON THIS WENT INTO
8 THE HIGH RISK NATURE OF THIS PROPOSAL. AND, AGAIN,
9 IT WAS SUBMITTED THROUGH THIS FUNDAMENTAL MECHANISMS
10 TRACK WHERE HIGHER RISK PROPOSALS, THEY SHOULD BE
11 BASED ON VERY COMPELLING PRELIMINARY DATA.

12 IN THIS CASE THE PRELIMINARY DATA THAT
13 SUPPORTED THE HYPOTHESIS WAS ENTIRELY FROM MOUSE,
14 AND REVIEWERS HAD SOME CONCERNS BECAUSE SOME
15 FINDINGS THAT AFFECT CERTAIN PROPERTIES IN MICE
16 AREN'T EXACTLY THE SAME IN HUMAN CELLS, AND IT WOULD
17 BE BETTER TO DETERMINE THAT EARLY AND THEN DECIDE
18 WHETHER OR NOT THIS IS WORTH STUDYING THAN TO
19 PERHAPS NOT KNOW. SO THAT WAS DRIVING THOSE TYPES
20 OF COMMENTS IN THE SUMMARY.

21 DR. JUELSGAARD: THANK YOU.

22 CHAIRMAN THOMAS: MR. PANETTA HAD A
23 QUESTION.

24 MR. PANETTA: ACTUALLY MY QUESTION WAS
25 ANSWERED. SO FINE. THANK YOU.

BARRISTERS' REPORTING SERVICE

1 DR. PRIETO: MY QUESTION IS JUST ABOUT THE
2 TITLE. THE DRUGGABLE MECHANISM IS THE USE OF THIS
3 SMALL MOLECULE TO ASSESS ITS EFFECT ON GENOME
4 STABILITY?

5 DR. SHEPARD: SO THE MOLECULE HAS ALREADY
6 BEEN IDENTIFIED, AND THE CLAIMS OF THE APPLICANT ARE
7 THAT IT SAFEGUARDS THE STEM CELL GENOME. THE GOALS
8 OF THE APPLICATION ARE TO WORK OUT THE MECHANISM OF
9 ACTION FOR THAT SMALL MOLECULE BECAUSE THE PATHWAYS
10 THAT ARE ILLUMINATED BY THESE STUDIES COULD OFFER
11 OTHER OPPORTUNITIES TO ADDRESS GENOME STABILITY.

12 DR. PRIETO: THAT'S WHAT THEY MEAN BY
13 DRUGGABLE?

14 DR. SHEPARD: YES.

15 DR. PRIETO: THANK YOU. SO WE NEED A
16 MOTION TO MOVE THIS APPLICATION.

17 DR. DULIEGE: I MOTION TO APPROVE THIS
18 RECOMMENDATION.

19 DR. PRIETO: DR. DULIEGE MOVES TO MOVE
20 THIS INTO TIER I. AND A SECOND?

21 DR. JUELSGAARD: I SECOND IT.

22 DR. PRIETO: MR. JUELSGAARD. OKAY. ANY
23 FURTHER DISCUSSION?

24 CHAIRMAN THOMAS: DR. PRIETO, I JUST HAVE
25 A PROCESS QUESTION. WAS IT EVER CONTEMPLATED, JUST

BARRISTERS' REPORTING SERVICE

1 FOR MEMBERS OF THE BOARD WHO WEREN'T IN THE ROOM,
2 EVER CONTEMPLATED THAT, IF YOU HAD PROJECTS IN THIS
3 CATEGORY THAT WERE SUBMITTED THAT RAN INTO
4 QUESTIONING ON THEIR HIGH RISK NATURE, THAT YOU
5 COULD RECATEGORIZE THOSE INTO THE SECOND CATEGORY?
6 WAS THAT SOMETHING THAT WAS ALLOWED TO HAPPEN?

7 DR. SHEPARD: WELL, WE DISCUSSED THAT AS A
8 POSSIBILITY WHEN WE WERE INITIALLY LOOKING AT THE
9 TIER PROPOSALS. AS I MENTIONED, WHEN THIS CONCEPT
10 WAS CONCEIVED, AT THAT TIME PROGRAMMATIC REVIEW WAS
11 GOING ON AT THE GRANTS WORKING GROUP SESSION AND
12 THEY WERE PARTICIPATING IN THAT. AND WE FELT THAT
13 THE JUDGMENT OF THE SCIENCE AS FAR AS WEIGHING THE
14 RISKS VERSUS THE REWARD COULD BE TAKEN UNDER
15 CONSIDERATION.

16 NOW, WE DIDN'T WANT TO JUST CHANGE THE
17 FUNDING MECHANISM OF THE GRANT BECAUSE WE DON'T
18 THINK IT'S FAIR TO THE OTHER GRANT APPLICATIONS.
19 BUT THIS IS AN ACKNOWLEDGEMENT THAT WE'RE LOOKING
20 FOR POTENTIALLY TRANSFORMATIVE RESEARCH
21 OPPORTUNITIES. AND JUST BECAUSE SOMETHING WAS
22 SUBMITTED TO THE WRONG TRACK, IF THERE'S REALLY GOOD
23 POTENTIALLY HIGH GAINS THAT COME IN THAT, EVEN IF
24 IT'S RISKY, IT COULD BE WORTH CONSIDERING. AND
25 BECAUSE THIS FUNDING MECHANISM -- SO THE EXPLORATORY

BARRISTERS' REPORTING SERVICE

1 CONCEPT MECHANISM WAS A SMALL FOCUSED AWARD, TWO
2 YEARS, SMALLER AMOUNT OF MONEY. IT WAS REALLY TO
3 LET PEOPLE TEST AN INITIAL HYPOTHESIS. AND IF IT'S
4 WRONG, THAT'S A LOWER INVESTMENT THAT HAS BEEN MADE
5 IN THAT.

6 THESE FUNDAMENTAL MECHANISMS AWARDS ARE A
7 SIGNIFICANT INVESTMENT. THEY'RE THREE YEARS, WHICH
8 IS WHY WE LOOK AT PRELIMINARY DATA IN THE EVALUATION
9 OF THOSE. IF A HIGH RISK COMES IN THROUGH THIS,
10 WE'RE COMMITTED TO IT FOR THREE YEARS ESSENTIALLY
11 UNLESS SOMETHING TERRIBLE HAPPENS DURING THE CONDUCT
12 OF THE AWARD. SO WE THOUGHT THAT ONE WAY TO MANAGE
13 THIS RISK WOULD BE SOMETHING THAT WE DO IN OTHER
14 PROGRAMS, WHICH IS WORK WITH THE APPLICANT TO ENSURE
15 THAT THEY'RE MEETING A CERTAIN MILESTONE EARLY TO
16 DERISK THIS BASICALLY.

17 CHAIRMAN THOMAS: THANK YOU.

18 DR. PRIETO: DR. LUBIN.

19 DR. LUBIN: SO, DR. JUELSGAARD, YOU WERE
20 CONCERNED THAT THEY WERE OVERSTATING THE CAPABILITY
21 OR THE POTENTIAL OF THIS AND THAT WAS IN A STUDY,
22 YET YOU VOTED -- YOU SUGGESTED THAT WE FUND THIS.
23 I'M CONFUSED BY THAT.

24 DR. PRIETO: I THINK YOUR MICROPHONE IS
25 NOT ON. I'M SORRY.

BARRISTERS' REPORTING SERVICE

1 MR. HARRISON: THIS IS ONE YOU CAN'T SPEAK
2 TO.

3 DR. LUBIN: I'M SORRY.

4 DR. PRIETO: DR. TROUNSON.

5 DR. LUBIN: FORGET WHAT I SAID.

6 MR. TORRES: STRIKE THE TESTIMONY.

7 DR. JUELSGAARD: WELL, I'M HAPPY TO ANSWER
8 A QUESTION THAT I MIGHT HAVE HEARD, WHICH IS
9 ACTUALLY I WAS JUST -- IT WAS AN INQUIRY ON MY PART.
10 THERE WERE THESE CRITICISMS, AND I WANTED TO HEAR
11 MORE ABOUT THEM JUST SO THAT I UNDERSTOOD THEM.
12 THAT DOESN'T MEAN THAT I ACCEPTED THAT THEY WERE
13 VALID CRITICISMS, BUT I THOUGHT IT WAS WORTH HEARING
14 ABOUT.

15 DR. PRIETO: THIS WAS A QUESTION RAISED IN
16 THE REVIEW. DR. TROUNSON.

17 DR. TROUNSON: THE HYPOTHESIS RISK IS THAT
18 DRUG WON'T WORK ON MOUSE CELLS AS WELL AS THE HUMAN.
19 BUT WE ACTUALLY SEE THE SAME PROBLEMS WHEN YOU GROW
20 MOUSE CELLS AS YOU DO HUMAN CELLS. SO IT'S
21 HYPOTHESIS THAT IT WON'T WORK, BUT THERE IS THE SAME
22 PROBLEM. AND IF IT DOES WORK IN THE MOUSE AND IT
23 DOES WORK IN THE HUMAN, IT WOULD BE WORTH AN AWFUL
24 LOT OF MONEY, A LOT OF BENEFIT BECAUSE IT WOULD
25 ALLOW YOU TO MULTIPLY THESE CELLS, ANY CELLS THAT WE

BARRISTERS' REPORTING SERVICE

1 GET, PRESUMABLY ANY CELLS THAT WE CAN GET WITHOUT
2 SEVERE CONTAMINATION, CHROMOSOMAL CHANGES, AND GENE
3 DELETION. SO IT IS QUITE IMPORTANT, AND IT'S A
4 HYPOTHESIS THAT MAYBE IT WON'T WORK ON THE HUMAN
5 BECAUSE SOME THINGS DON'T WORK ON MOUSE AND HUMAN.
6 SO I JUST WANTED TO MAKE SURE THE BOARD UNDERSTOOD
7 THAT.

8 DR. PRIETO: AND THIS IS -- THIS WORK WILL
9 BE JUST IN A MURINE MODEL, CORRECT?

10 DR. TROUNSON: NO. THE PRINCIPLE IS THAT
11 THEY NEED TO SHOW THAT THIS DRUG WILL ACTUALLY WORK
12 ON A HUMAN BEFORE WE GO AND COMPLETELY FUND THE
13 STUDY. SO WE'RE ASKING THEM TO MOVE THAT TO THERE.
14 AND SO THERE SHOULD BE SOME --

15 DR. PRIETO: OH, I SEE. YES. THIS IS THE
16 MILESTONE THAT WE'LL PUT IN PLACE.

17 DR. TROUNSON: RIGHT.

18 DR. PRIETO: AND IF THEY PASS THAT
19 MILESTONE, THEN THIS COULD HAVE VERY BROAD
20 APPLICABILITY IN THE STEM CELL FIELD.

21 DR. SHEPARD: RIGHT.

22 DR. TROUNSON: IF I COULD SUGGEST THAT YOU
23 INCLUDE THAT RECOMMENDATION FOR THAT MILESTONE, I
24 THINK THAT WOULD BE WORTHWHILE AS WELL.

25 DR. SHEPARD: THE GRANT IS TO DEMONSTRATE

BARRISTERS' REPORTING SERVICE

1 HOW IT WORKS AND MAKE SURE THAT IT DOES WORK IN
2 HUMAN CELLS BEFORE WE FIGURE OUT HOW IT WORKS.

3 DR. PRIETO: THIS IS THE SUGGESTED
4 MILESTONE?

5 DR. SHEPARD: YES.

6 DR. PRIETO: OKAY. SO DO WE NEED AN
7 AMENDMENT TO THE MOTION IF WE'RE JUST ACCEPTING --

8 DR. SHEPARD: I DON'T THINK YOU DO. IF
9 YOU ACCEPTED --

10 DR. PRIETO: IF WE'RE JUST ACCEPTING THE
11 STAFF RECOMMENDATION.

12 DR. SHEPARD: -- THIS IS KIND OF -- IT
13 KIND OF -- IT GIVES US THE LEVERAGE.

14 DR. PRIETO: SO THE MOTION, THEN, IS TO
15 ACCEPT THE STAFF RECOMMENDATION AND MOVE THIS INTO
16 THE FUNDABLE CATEGORY. DO WE NEED PUBLIC COMMENT?
17 IS THERE ANY PUBLIC COMMENT?

18 MR. REED: NOT FOR THIS ONE, BUT THERE
19 WILL BE LATER ON. THANK YOU.

20 DR. PRIETO: IF WE COULD CALL THE ROLL.

21 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

22 DR. DULIEGE: YES.

23 MS. BONNEVILLE: MARCY FEIT.

24 MS. FEIT: YES.

25 MS. BONNEVILLE: STEVE JUELSGAARD.

BARRISTERS' REPORTING SERVICE

1 DR. JUELSGAARD: AYE.
2 MS. BONNEVILLE: LAUREN MILLER.
3 MS. MILLER: AYE.
4 MS. BONNEVILLE: JOE PANETTA.
5 MR. PANETTA: AYE.
6 MS. BONNEVILLE: FRANCISCO PRIETO.
7 DR. PRIETO: AYE.
8 MS. BONNEVILLE: ROBERT QUINT.
9 DR. QUINT: YES.
10 MS. BONNEVILLE: JON THOMAS.
11 CHAIRMAN THOMAS: YES.
12 MS. BONNEVILLE: ART TORRES.
13 MR. TORRES: AYE.
14 MS. BONNEVILLE: DIANE WINOKUR.
15 MS. WINOKUR: YES.
16 MR. HARRISON: THIS MOTION CARRIES BY A
17 VOTE OF TEN TO ZERO.
18 DR. PRIETO: OKAY. IS THIS BACK TO JEFF?
19 MR. SHEEHY: YEAH. I THINK THAT CONCLUDES
20 THE STAFF RECOMMENDATIONS FOR THIS CATEGORY. SO
21 WE'RE AT A POINT WHERE I WILL TAKE A MOTION TO MOVE
22 AN APPLICATION IN TIER II INTO TIER I OUTSIDE OF
23 THOSE WE'VE ALREADY CONSIDERED IF THERE IS ANY
24 APPLICATION THAT A MEMBER HAS AN INTEREST IN MOVING.
25 DR. PRIETO: QUESTION. WERE THERE APPEALS

BARRISTERS' REPORTING SERVICE

1 ON ANY OF THE OTHERS IN TIER II?

2 MR. SHEEHY: YOU HAVE A LIST OF APPEALS.
3 STAFF HAS GIVEN -- YOU HAVE THIS SHEET?

4 DR. PRIETO: OH, YES, I DO.

5 DR. SAMBRANO: I CAN TELL YOU THAT THERE
6 WERE NO FORMAL APPEALS FILED UNDER TRACK 1 IN TIER
7 II.

8 DR. PRIETO: THANK YOU.

9 MR. SHEEHY: SO WHILE WE'RE ON THIS
10 TRACK --

11 DR. DULIEGE: I'D LOVE TO HAVE A BIT MORE
12 INFORMATION. CAN WE HAVE A LITTLE BIT MORE
13 INFORMATION ABOUT THE 47184 THAT END UP, IF I'M
14 CORRECT, APPROXIMATELY THE SAME TYPE OF RANKING? IT
15 WAS STILL REJECTED. SO 07184.

16 MR. SHEEHY: JUST IN TERMS OF PROCESS,
17 COULD WE -- AND I'LL DEFER TO THE CHAIR AS TO HOW
18 RIGOROUSLY HE WANTS TO ENFORCE THIS. BUT THE
19 GENERAL WAY WE'VE DONE THIS IS THAT IN ORDER TO HAVE
20 A DISCUSSION OF AN APPLICATION, THERE NEEDS TO BE A
21 MOTION AND A SECOND. SO THERE'S SOME ACTIVITY, BUT
22 IT'S YOUR CALL.

23 CHAIRMAN THOMAS: LET'S ASK THE ULTIMATE
24 WORD ON EVERYTHING. MR. HARRISON.

25 MR. SHEEHY: IT REALLY DEPENDS ON HOW

BARRISTERS' REPORTING SERVICE

1 RIGOROUSLY YOU'D LIKE ME TO ENFORCE THE PROCESS.

2 CHAIRMAN THOMAS: I THINK IF A BOARD
3 MEMBER HAS ASKED FOR EXPLANATION ON ONE, THEN WE
4 SHOULD HAVE THAT EXPLANATION.

5 MR. SHEEHY: OKAY. JUST BECAUSE --

6 DR. DULIEGE: I UNDERSTAND.

7 MR. SHEEHY: I'M SORRY. I WAS GOING
8 TO --

9 DR. DULIEGE: MY QUESTION IS CAN WE HAVE
10 AN EXPLANATION ON, FOR INSTANCE, THE NEXT PROPOSAL
11 THAT GOT THE SAME SCORE BUT WAS NOT RECOMMENDED BY
12 THE CIRM STAFF?

13 DR. SHEPARD: SO MY COLLEAGUE, DR. MANI
14 VESSAL, IS PREPARED TO DISCUSS THAT APPLICATION. HE
15 JUST NEEDS A SECOND.

16 MR. SHEEHY: AND, DIANE, DID YOU HAVE A
17 QUESTION?

18 MS. WINOKUR: HAVE WE FINISHED TRACK 1 AND
19 WE'RE ON TRACK 2?

20 MR. SHEEHY: NO. WE'RE STILL ON TRACK 2,
21 SO WE'RE ON THE NEXT LOWER ONE DOWN, 7184, "EXOSOMES
22 AS A NOVEL FORM OF CELL-CELL COMMUNICATION FOR
23 NEURONAL HOMEOSTASIS."

24 MR. TORRES: IS THERE ANY WAY TO INCREASE
25 THE LIGHTING ON THAT SIDE OF THE ROOM? I CAN BARELY

BARRISTERS' REPORTING SERVICE

1 SEE THE BOARD MEMBERS. THANK YOU.

2 DR. VESSAL: YES. WE DID NOT MAKE A
3 RECOMMENDATION ON THIS APPLICATION BECAUSE ONE OF
4 THE ISSUES THAT THE REVIEWERS HAD RAISED WAS A FLAW
5 THAT WAS IN THE PRELIMINARY DATA THAT CONTRADICTED
6 ONE OF THE CLAIMS THAT THE PI WAS TRYING TO MAKE.
7 SO THERE WAS ACTUALLY DATA THAT WAS PRESENTED IN THE
8 APPLICATION THAT WAS CONTRADICTORY TO THE ACTUAL
9 HYPOTHESIS.

10 DR. DULIEGE: THANK YOU.

11 MR. SHEEHY: WE DID GET A LETTER ON THIS
12 ONE. SO IF THERE IS ANY PUBLIC COMMENT ON THIS
13 APPLICATION, I FEEL LIKE, SINCE IT HAS BEEN
14 DISCUSSED BY BOARD MEMBERS, WE SHOULD GIVE THAT
15 OPPORTUNITY. NO. OKAY.

16 ARE THERE ANY OTHER APPLICATIONS THAT
17 BOARD MEMBERS WOULD EITHER LIKE TO GET MORE
18 INFORMATION ABOUT OR MAKE A MOTION TO MOVE INTO
19 CATEGORY 1? DIANE.

20 MS. WINOKUR: I JUST HAVE A COMMENT ON NO.
21 07320. ARE WE ON THAT TRACK YET?

22 MR. SHEEHY: NO. WE HAVEN'T GONE TO THAT
23 CATEGORY. SO THERE ARE TWO DIFFERENT TRACKS. SO
24 WE'RE DOING THE BIG MONEY TRACK, BUT WE'LL GET
25 THERE.

BARRISTERS' REPORTING SERVICE

1 MS. WINOKUR: OKAY. THANK YOU.

2 MR. SHEEHY: SO IF THERE'S NO MOTIONS, I
3 THINK, AND THERE ARE NO MOTIONS -- I PRESUME THERE'S
4 NO MOTIONS TO MOVE ANYTHING OUT OF TRACK III INTO
5 THE FUNDABLE CATEGORY. THEN MAYBE WE COULD CONCLUDE
6 THIS TRACK. BEFORE WE GO INTO TRACK 2, SHOULD WE
7 TAKE A BREAK, CHAIR? DO YOU WANT TO DO IT BEFORE OR
8 AFTER THE BREAK?

9 CHAIRMAN THOMAS: AFTER.

10 MR. SHEEHY: SO MAYBE A TEN-MINUTE -- WE
11 HAVEN'T HAD A BREAK. WE'VE BEEN AT IT FOR TWO
12 HOURS. SO COME BACK IN FIVE TO TEN. MARIA SAYS
13 FIVE.

14 DR. DULIEGE: CAN YOU CLARIFY EXACTLY
15 WHERE WE ARE RIGHT NOW IN THE VOTING PROCESS BEFORE
16 WE TAKE A BREAK?

17 MR. SHEEHY: SURE. WE'VE FINISHED TRACK
18 1. AND NOW WE'RE IN TRACK 2, WHICH IS ACTUALLY
19 BACKWARDS IN YOUR PAPERS. SO TRACK 2 IS PAGE 1.

20 DR. PRIETO: SO WE FINISHED FUNDAMENTAL
21 CONCEPTS AND WE'LL DO EXPLORATORY.

22 MR. SHEEHY: WE'RE DOING EXPLORATORY
23 CONCEPTS NEXT. SO WE'LL GO TO THOSE.

24 DR. SAMBRANO: I DON'T THINK WE'RE DONE
25 YET. I THINK THE GOAL WAS TO FIRST DO A MOTION ON

BARRISTERS' REPORTING SERVICE

1 THIS TRACK --

2 DR. PRIETO: ON EVERYTHING IN TIER I.

3 DR. SAMBRANO: -- BEFORE WE TAKE A BREAK,
4 OR I MEAN AFTER THE BREAK.

5 DR. DULIEGE: WHY DON'T WE JUST CLOSE ON
6 THAT TRACK COMPLETELY, THEN WE TAKE A BREAK, AND
7 THEN WE START ON THE SECOND TRACK.

8 CHAIRMAN THOMAS: THAT'S FINE.

9 MR. SHEEHY: I'M AGNOSTIC. DO WE WANT TO
10 DO THAT?

11 MR. TORRES: DOES THE STENOGRAPHER NEED A
12 BREAK? YES, SHE NEEDS A BREAK.

13 MR. HARRISON: I THINK I RECOMMEND WE TAKE
14 A FIVE-MINUTE BREAK AND COME BACK AND TAKE A VOTE ON
15 A MOTION TO APPROVE THOSE APPLICATIONS IN THE
16 FUNDAMENTAL TRACK IN TIER I AND NOT TO FUND THE
17 REMAINING, AND THEN WE CAN MOVE ON TO TRACK 2.

18 (A RECESS WAS TAKEN.)

19 MR. SHEEHY: SO I THINK WHERE WE ARE NOW
20 IS THAT WE WILL TAKE A GLOBAL MOTION ON TRACK 1.
21 AND PERHAPS COUNSEL COULD STATE THE FORM, AND THEN I
22 COULD GET SOMEONE TO MAKE AND SECOND IT.

23 MR. TORRES: SO MOVED.

24 MR. HARRISON: SO THE FORM OF THE MOTION
25 WOULD BE TO APPROVE THE BASIC BIOLOGY TRACK 1

BARRISTERS' REPORTING SERVICE

1 APPLICATIONS IN TIER I AND NOT TO FUND THE REMAINING
2 BASIC BIOLOGY APPLICATIONS IN TRACK 1.

3 MR. TORRES: SO MOVED.

4 MR. SHEEHY: DO I HAVE A SECOND?

5 DR. JUELSGAARD: SECOND.

6 MR. SHEEHY: DO WE HAVE ANY PUBLIC
7 COMMENT?

8 MS. SHEIKH: HELLO. I'M FARAH SHEIKH, AND
9 I'M FROM THE UNIVERSITY OF CALIFORNIA SAN DIEGO.
10 AND I WOULD LIKE TO TALK ABOUT GRANT RB5-07366. AND
11 I WANT TO THANK THE COMMITTEE FOR THE OPPORTUNITY TO
12 PROVIDE COMMENT ON THIS PROPOSAL THAT ADDRESSES AN
13 UNMET CLINICAL NEED IN THE AREA OF HEART DISEASE.

14 SO AS WE KNOW, HEART DISEASE IS THE NO. 1
15 KILLER IN THIS NATION. AND IN 2004 73,000
16 CALIFORNIANS DIED FROM HEART DISEASE, MORE THAN THE
17 TOTAL NUMBER OF DEATHS IN THE YEAR FROM CANCER,
18 DIABETES, LIVER DISEASE, SUICIDE, HOMICIDE, AND HIV
19 COMBINED. AND OUR PROPOSAL SPECIFICALLY FOCUSES ON
20 THE MECHANISM OF ACTION ON A NOVEL BIOLOGICAL
21 PATHWAY THAT COULD LEAD TO SIGNIFICANT ADVANCES AND
22 ACTUALLY TRANSFORM THE DIAGNOSIS AND TREATMENT OF A
23 DEADLY HEART DISEASE CALLED ARRHYTHMOGENIC RIGHT
24 VENTRICULAR CARDIOMYOPATHY OR ARVC.

25 AND IF YOU HAVEN'T YET HEARD OF THIS

BARRISTERS' REPORTING SERVICE

1 DISEASE, YOU MAY HAVE HEARD OF ATHLETES ALL OF A
2 SUDDEN DROPPING TO THEIR DEATH ON THE FIELD. AND
3 IT'S CONSIDERED ONE OF THE TOP CAUSES OF SUDDEN
4 DEATH IN YOUNG PEOPLE, AND IT INCLUDES CHILDREN AS
5 WELL AS ATHLETES WHO, DESPITE THEIR OUTWARD
6 APPEARANCE OF HEALTH, SUDDENLY DIE WITHOUT ANY CLEAR
7 WARNING.

8 THIS IS ESPECIALLY DEVASTATING BECAUSE
9 THERE ARE ABSOLUTELY NO CURES. THERE IS ABSOLUTELY
10 NO WAY TO MODIFY THE DISEASE, AND IT'S REALLY HARD
11 TO DIAGNOSE. EVEN WHEN YOUNG PEOPLE ARE DIAGNOSED,
12 40 PERCENT OF PATIENTS DIE WITHIN TEN YEARS OF
13 DIAGNOSIS. SO EFFECTIVE ACTIONS AND RESEARCH IN
14 THIS AREA ARE URGENTLY NEEDED BECAUSE THE
15 DEVASTATING CONSEQUENCES OF ARVC ARE VERY
16 UNPREDICTABLE AND THEY'RE CATASTROPHIC FOR FAMILIES.

17 RESEARCH IN THIS AREA WILL ALSO HELP OTHER
18 FORMS OF HEART DISEASE IN TERMS OF THEIR TREATMENTS
19 BECAUSE THERE'S SOME KEY DISEASE FEATURES THAT ARE
20 SHARED. SO I REALLY STRONGLY URGE THE COMMITTEE TO
21 CONSIDER FUNDING OUR RESEARCH PROPOSAL SINCE
22 INVESTMENT IN OUR PROPOSAL WILL SEEK TO ALLEVIATE
23 THIS UNMET CLINICAL NEED FOR RESEARCH IN THE AREA OF
24 ARVC AND ALSO IMPACT PATIENTS WITH OTHER FORMS OF
25 HEART DISEASE AND ALSO ESPECIALLY IN THIS FUNDING

BARRISTERS' REPORTING SERVICE

1 CYCLE WHERE THERE ARE NO OTHER HEART DISEASE GRANTS
2 BEING FUNDED.

3 PROGRAMMATICALLY I FEEL IT DIVERSIFIES
4 CIRM'S PORTFOLIO BECAUSE IT FUNDS A RESEARCH AREA
5 THAT REALLY GETS TO PEOPLE THAT ARE IMPACTED BY
6 SUDDEN DEATH IN YOUNG CALIFORNIAN CHILDREN, ADULTS,
7 AND ATHLETES. AND IT ALSO SYNERGIZES WITH
8 CALIFORNIA'S MASTER PLAN FOR HEART DISEASE AND
9 STROKE PREVENTION AND TREATMENT, WHICH WAS THE
10 (INAUDIBLE) ESTABLISHED IN CALIFORNIA TO ADDRESS THE
11 URGENT NEED TO REDUCE DEATH FROM HEART DISEASE AND
12 STROKE.

13 AND FINALLY, I THINK IT PROVIDES AN
14 INVESTMENT TO CALIFORNIA BECAUSE IT MAKES IT A HUB
15 FOR HEART DISEASE RESEARCH IN THIS PARTICULAR AREA
16 WHERE PEOPLE CAN COME TO US AND GET THIS NEEDED
17 TREATMENT AND TO ALSO ATTRACT BUSINESSES TO DEVELOP
18 THESE TREATMENTS FURTHER.

19 SO WITH THAT, I'D REALLY LIKE TO URGE THE
20 COMMITTEE TO PLEASE CONSIDER THIS PROPOSAL. AND I
21 THANK YOU FOR YOUR TIME.

22 MR. SHEEHY: DO WE HAVE ADDITIONAL PUBLIC
23 COMMENT ON TRACK 1 APPLICATIONS?

24 MR. PARKER: GOOD MORNING. THANKS. MY
25 NAME IS LARRY PARKER. AND THANKS FOR ALLOWING ME TO

BARRISTERS' REPORTING SERVICE

1 SPEAK ON THIS.

2 I NEVER THOUGHT I'D BE THE FACE OF
3 ANYTHING, BUT THIS MORNING I AM THE FACE OF ARVC. I
4 AM THE PATIENT. AND I WAS ASKED TO COME IN AND JUST
5 SPEAK A LITTLE BIT (INAUDIBLE) AND WHAT TYPE OF
6 TREATMENT I'M HAVING RIGHT NOW AND HOW MY LIFE IS
7 GOING.

8 WELL, IT STARTED OUT ABOUT FIVE YEARS AGO.
9 I WAS SITTING AT HOME IN MY OFFICE DOING SOME WORK,
10 AND ALL OF A SUDDEN I FELT MY HEART START RACING.
11 AND IT'S LIKE, OKAY, IT WILL STOP IN A SECOND, BUT
12 IT NEVER STOPPED. AND IT GOT TO THE POINT WHERE,
13 WELL, GEEZ, I BETTER DO SOMETHING ABOUT IT. SO I
14 CALLED UP A BUDDY OF MINE WHO I KNEW WAS IN THE
15 AREA. I SAID, "HEY, DO YOU MIND TAKING ME TO THE
16 HOSPITAL?" HE GOES, "OKAY. I'LL BE OVER THERE IN A
17 SECOND." TOOK ME TO THE HOSPITAL. I WENT IN, I
18 SAID I GOT AN ACCELERATED HEART RATE. OF COURSE,
19 THEY TOOK ME RIGHT IN.

20 WHEN THEY TOOK MY PULSE, MY HEART RATE WAS
21 212. NOW, MY NORMAL HEART RATE, BECAUSE FOREVER
22 I'VE BEEN VERY HEALTHY AND I WORK OUT AT LEAST FIVE
23 DAYS A WEEK, I DO CARDIO FIVE DAYS A WEEK, IS
24 BETWEEN RESTING 55, 60, SOMEWHERE AROUND THERE. SO
25 YOU CAN IMAGINE HOW IT MUST HAVE FELT WHEN I WAS

BARRISTERS' REPORTING SERVICE

1 212. THEY COULDN'T FIGURE OUT A WAY TO GET MY HEART
2 RATE DOWN. SO EVENTUALLY THEY CALLED IN THE
3 CARDIOLOGIST AND THEY KNOCKED ME OUT AND THEY
4 CARDIOBURNED ME, AND I WOKE UP AND I FELT GREAT.
5 BUT SO THEY TRANSFERRED ME TO ANOTHER HOSPITAL,
6 MARIN GENERAL.

7 THE NEXT MORNING THEY BROUGHT ME IN THE
8 CATH LAB. IT WAS DETERMINED MY -- THAT WAS NOT THE
9 PROBLEM. MY ARTERIES WERE CLEAR AND LOOKING VERY
10 ATHLETIC, AS THE CARDIOLOGIST SAID.

11 NEXT NIGHT THEY TOOK ME INTO THE EP LAB
12 AND THEY ABLATED ME. AND AT THAT POINT I THOUGHT I
13 WAS PRETTY MUCH OKAY. AT THAT POINT, THOUGH, I
14 WASN'T DIAGNOSED WITH ARVC. THEY JUST ABLATED ME
15 AND THAT'S ALL THERE WAS TO IT. THEY DID A
16 FOLLOW-UP A COUPLE WEEKS LATER WITH THE EP DOCTOR,
17 AND HE SAID I WAS FINE.

18 ABOUT A YEAR AND A HALF -- AGAIN, THAT WAS
19 MY FIRST ABLATION. ABOUT A YEAR AND A HALF LATER,
20 IT STARTED AGAIN. I WAS IN A RESTAURANT. MY HEART
21 RATE STARTED UP. IT'S LIKE, OH, NO, HERE WE GO
22 AGAIN. THANKFULLY IT STOPPED. STARTED AGAIN THAT
23 NIGHT. SO WENT TO THE DOCTOR. I WAS REFERRED TO
24 UCSF. UCSF HAS PROBABLY THE WORLD'S FOREMOST
25 AUTHORITY IN DR. SCHEINMAN. SO HE DID A SERIES OF

BARRISTERS' REPORTING SERVICE

1 TESTS ON ME. AND BY THE WAY, EVEN THOUGH HE MAY BE
2 THE AUTHORITY ON IT, THERE'S SO MUCH NOT KNOWN ABOUT
3 THIS DISEASE, THAT ALL THEY COULD DO IS TRY TO
4 MANAGE IT.

5 BUT THEY PUT ME THROUGH A LOT OF TESTS,
6 INCLUDING A CARDIAC MRI, NUKE MED STUDY, PRETTY
7 INVOLVED EKG, GENETIC TESTING. I WENT THROUGH
8 ANOTHER ABLATION THERE, AND THEY BROUGHT ME IN THE
9 CATH LAB. THEY DID A BIOPSY, WHICH I CAN ONLY
10 IMAGINE WHAT THE BILL MUST HAVE LOOKED LIKE. WHEN I
11 WAS FINALLY -- OKAY. WHEN I WAS FINALLY DIAGNOSED
12 WITH ARVC, THAT WAS THE SECOND ONE, THEY PUT ME ON A
13 DRUG CALLED SOTALOL. AND I WAS IN THE HOSPITAL TWO
14 DAYS BECAUSE THEY HAD TO MONITOR THE DRUG. SO I WAS
15 THERE TWO DAYS IN THE HOSPITAL ON THE DRUG.

16 AND A LITTLE BIT LATER THEY IMPLANTED A
17 DEFIBRILLATOR IN ME. SO THEN ABOUT A YEAR AND A
18 HALF LATER, LAST APRIL, I WAS IN THE GYM. STEPPED
19 ON THE TREADMILL. THE DEFIBRILLATOR FIRED OFF
20 TWICE. AND LET ME TELL YOU, IF YOU'VE NEVER HAD
21 THAT HAPPEN TO YOU, IT'S LIKE SOMEONE HITS YOU WITH
22 A BASEBALL BAT. I BENT OVER. IT WAS PRETTY
23 POWERFUL. SO WENT BACK. THEY DID THE THIRD
24 ABLATION LAST APRIL THE 29TH.

25 SO EVEN THOUGH THERE ARE DRUGS THEY GIVE

BARRISTERS' REPORTING SERVICE

1 ME, I'VE GOT A DEFIBRILLATOR WHICH PROBABLY SAVED MY
2 LIFE. ALL THEY CAN DO IS SOMEWHAT MANAGE IT.
3 THERE'S JUST NOT ENOUGH INFORMATION ABOUT THIS
4 DISEASE. AND I'M HOPING THERE'S MORE STUDY SO I CAN
5 KEEP CALLING THE DOC HERE AND SAY WHERE ARE WE. AND
6 I DO CALL HER A LOT. THAT'S PROBABLY WHY SHE ASKED
7 ME TO COME BECAUSE I'M PRETTY INVOLVED IN MY OWN
8 THERAPY. AS YOU CAN IMAGINE, MY LIFE STYLE HAS
9 CHANGED. THE DOCTOR SAYS I DON'T WANT YOUR HEART
10 RATE OVER A HUNDRED TEN. I GOT TO BE REAL CAREFUL
11 AT THE GYM. AND I'M AFRAID TO TRAVEL. I'M AFRAID
12 OF SOMETHING HAPPENING. I WANT TO BE NEAR UCSF
13 BECAUSE I DON'T KNOW WHAT'S GOING TO HAPPEN.

14 I WON A TRIP TO HAWAII LAST YEAR,
15 PRESIDENT'S CLUB IN THE COMPANY I WORK FOR. I
16 DIDN'T GO, ALL EXPENSES PAID, BECAUSE I WAS PARANOID
17 ABOUT SOMETHING MIGHT HAPPEN. SO THANK YOU VERY
18 MUCH FOR YOUR TIME, AND I APPRECIATE IT.

19 MR. SHEEHY: THANK YOU. DO WE HAVE
20 ADDITIONAL PUBLIC COMMENT?

21 DR. SPECTOR: YES. MY NAME IS DEBORAH
22 SPECTOR. I'M A PROFESSOR ALSO AT THE UNIVERSITY OF
23 CALIFORNIA SAN DIEGO. I'M IN THE SCHOOL OF MEDICINE
24 AND THE SKAGGS SCHOOL OF PHARMACY. MY EXPERTISE IS
25 IN CELL AND MOLECULAR BIOLOGY AND VIROLOGY. AND FOR

BARRISTERS' REPORTING SERVICE

1 MANY YEARS I HAVE STUDIED HERPES VIRUSES.

2 I FLEW UP HERE TO SPEAK TO YOU DIRECTLY
3 AND TO SPEAK DIRECTLY TO EXPRESS CONCERN ABOUT A GAP
4 THAT IS IN CIRM'S PORTFOLIO OF GRANTS THAT HAVE BEEN
5 AWARDED. AND THAT RELATES TO CHILD HEALTH. AND
6 SPECIFICALLY IN CHILD HEALTH WHAT HAS BEEN NEGLECTED
7 IS CONGENITAL HEARING LOSS. THE PROBLEM IS EXTREME.
8 ONE IN 250 CHILDREN ARE BORN WITH CONGENITAL HEARING
9 LOSS, AND THAT DOESN'T EVEN COUNT THOSE WHO GET IT
10 FROM OTHER CAUSES OR, AS MANY OF US WILL, WHEN WE
11 AGE.

12 THE SINGLE MAJOR CAUSE OF CONGENITAL
13 HEARING LOSS IS A VIRUS CALLED CYTOMEGALOVIRUS.
14 IT'S A HERPES VIRUS. AND PROBABLY MOST OF YOU
15 HAVEN'T HEARD ABOUT IT BEFORE. IT IS THE MAJOR
16 VIRAL CAUSE OF BIRTH DEFECTS IN INFANTS.

17 NOW, PROBABLY IF I ASKED YOU HAVE YOU
18 HEARD ABOUT RUBELLA, AND PROBABLY IF I WENT AROUND,
19 MOST OF YOU HAVE HEARD ABOUT RUBELLA AND KNOW THAT
20 IF A WOMAN CONTRACTED RUBELLA WHEN SHE WAS PREGNANT,
21 THE BABY WAS LIKELY TO HAVE BIRTH DEFECTS,
22 PARTICULARLY HEARING LOSS. TO PUT THIS INTO A
23 CONTEXT FOR YOU, EVERY YEAR CYTOMEGALOVIRUS CAUSES
24 MORE HEARING LOSS THAN RUBELLA EVER DID IN ITS WORST
25 EPIDEMIC YEAR PRIOR TO A VACCINE.

BARRISTERS' REPORTING SERVICE

1 UNFORTUNATELY THIS LACK OF PUBLIC
2 AWARENESS HAS MADE IT VERY DIFFICULT TO GET FUNDS TO
3 STUDY THIS VERY IMPORTANT MEDICAL PROBABILITY.
4 THERE'S NO VACCINE AGAINST CYTOMEGALOVIRUS, THERE
5 ARE NO ANTIVIRAL DRUGS THAT CAN BE USED, AND I HAVE
6 TO TELL YOU THERE'S NOT A VACCINE THAT'S ON THE
7 HORIZON THAT YOU ARE GOING TO SEE IN THE NEXT
8 DECADE. HEARING LOSS, CONGENITAL HEARING LOSS, WHEN
9 THE CELLS OF THE INNER EAR ARE DAMAGED, THEY CANNOT
10 BE REPAIRED AND THEY CANNOT REGENERATE. THUS,
11 IMPLANTATION OF STEM CELLS REALLY BECOMES A
12 THERAPEUTIC POSSIBILITY.

13 UNFORTUNATELY UNTIL RECENTLY THERE REALLY
14 WAS NO SUCCESSFUL MODEL TO STUDYING HEARING
15 RESTORATION USING HUMAN EMBRYONIC STEM CELLS. WHAT
16 I WANT TO CONVEY TO YOU IS VERY MUCH AS WAS STATED
17 THIS MORNING. THERE WAS A PAPER THAT WAS PUBLISHED
18 AT THE END OF 2012 THAT CHANGED THE LANDSCAPE. IT
19 WAS A PAPER THAT DESCRIBED A PROTOCOL TO
20 DIFFERENTIATE HUMAN EMBRYONIC STEM CELLS INTO THE
21 CELLS THAT WERE PROGENITORS AND BECAME THE CELLS OF
22 THE INNER EAR. WHAT WAS MORE IMPORTANT, THEY COULD
23 SHOW THAT THOSE CELLS ENGRAFTED, DIFFERENTIATED, AND
24 RESTORED FUNCTION.

25 NOW, I'VE STUDIED CYTOMEGALOVIRUS FOR MANY

BARRISTERS' REPORTING SERVICE

1 YEARS. AT THE TIME THIS PAPER CAME OUT, WE HAD
2 FOUND THROUGH DEEP RNA SEQUENCING THAT MICRO-RNA'S
3 AND CELLULAR RNA'S WERE DYSREGULATED IN INFECTED
4 NEURAL PROGENITORS, AND THESE WERE DIRECTLY RELATED
5 TO THE DEVELOPMENT OF CELLS NEEDED FOR HEARING.

6 I'M HERE, MY COLLEAGUE DR. ALAN RYAN, I
7 SOLICITED HIM AS A COLLABORATION. HE HAS EXPERTISE
8 THAT COMPLEMENTS MY OWN IN THE PHYSIOLOGY AND
9 FUNCTION OF HEARING AND DYSFUNCTION AND HAS STUDIED
10 FOR MANY YEARS ANIMAL MODELS OF HEARING LOSS. WE
11 SUBMITTED A PROPOSAL TO CIRM AS PART OF THE BASIC
12 PROPOSAL. YOU ARE REVIEWING THESE NOW. OUR
13 PROPOSAL IS RB5-07082. I WANT TO IMPRESS ON YOU
14 THERE IS AN INCREDIBLE NEED FOR THIS WORK. WE HAVE
15 THE TOOLS NOW TO ADDRESS THE PROBLEM. WE DIDN'T
16 HAVE THOSE TOOLS BEFORE. AND I REALLY ENCOURAGE YOU
17 TO CONSIDER HAVING THESE STUDIES AS PART OF CIRM'S
18 PORTFOLIO. THANK YOU VERY MUCH.

19 MR. SHEEHY: THANK YOU. IS THERE ANY
20 ADDITIONAL PUBLIC COMMENT ON ANY APPLICATION IN
21 TRACK 1? THEN I BELIEVE COULD THE --

22 MS. ROBERSON: I'M JUDY ROBERSON FROM
23 SACRAMENTO. I'M A HUNTINGTON'S DISEASE ADVOCATE,
24 PRESIDENT OF THE JOSEPH P. ROBERSON FOUNDATION. OUR
25 FAMILY FOUNDED THE HUNTINGTON'S CLINIC AT UC DAVIS.

BARRISTERS' REPORTING SERVICE

1 IT'S THE LARGEST HD CLINIC IN THE UNITED STATES.

2 HUNTINGTON'S DISEASE IS A HEREDITARY BRAIN
3 DISEASE. HAS JUST AS MANY PATIENTS AS ALS AND
4 CYSTIC FIBROSIS. IT'S NOT WELL-KNOWN, BUT IT'S WHAT
5 KILLED MY HUSBAND, THREE OTHER LOVED ONES IN OUR
6 FAMILY, AND WE HAVE 17 LOVED ONES AT RISK.

7 BECAUSE OF CIRM AND THE ICOC, THE
8 HUNTINGTON'S CLINIC AT UC DAVIS, WHICH IS THE
9 LARGEST HD CLINIC IN THE UNITED STATES, NOW IS THE
10 SITE OF THE FIRST-IN-HUMAN CLINICAL TRIAL PHASE I
11 FOR HUNTINGTON'S USING ADULT STEM CELLS, MSC'S.
12 ENROLLMENT BEGAN IN SEPTEMBER WITH GREAT EXCITEMENT
13 AND HOPE. THIS YEAR MARKS THE TWENTY-FIRST YEAR
14 SINCE THE GENE FOR HUNTINGTON'S DISEASE WAS FOUND,
15 AND WE STILL HAVE NOT ONE TREATMENT. PEOPLE TOLD US
16 MAYBE BLUEBERRIES WOULD HELP, EATING BLUEBERRIES.
17 RESEARCH FOUND THAT NOT EVEN BLUEBERRIES HELP. WE
18 HAVE NOTHING.

19 SO I'M HERE TODAY TO THANK YOU, THE ICOC,
20 FOR VOTING UNANIMOUSLY TO FUND THIS GRANT WHICH
21 SCORED IN FIRST PLACE. I'M ALSO HERE TO THANK DON
22 GIBBONS. HE INVITED ME TO BE A PANELIST AT THE
23 WORLD STEM CELL SUMMIT IN EARLY DECEMBER. AND WHAT
24 OUR GOAL WAS WAS TO TEACH OTHER PEOPLE HOW TO BE
25 ADVOCATES FOR THEIR DISEASE. AND I THINK IT WAS

BARRISTERS' REPORTING SERVICE

1 SUCCESSFUL. DON REED WAS ON THE PANEL TOO.

2 I HAVE A SEAT ON THE FDA AS THE FIRST
3 VOTING PATIENT REPRESENTATIVE TO THE HUNTINGTON'S
4 DISEASE ADVISORY COMMITTEE. AND I'M HOPING THAT MY
5 ROLE IN THE FDA CAN HELP PUSH THROUGH APPROVALS FOR
6 THIS STEM CELL TRIAL FOR HUNTINGTON'S ALONG WITH
7 OTHER POTENTIAL TREATMENTS IN THE FUTURE.

8 I WANT TO THANK TWO PEOPLE FROM OUR
9 SACRAMENTO AREA FOR SERVING ON THE ICOC, NEW PEOPLE
10 I HAVEN'T MET, DR. KEN BURTIS AND AL ROWLETT. SO I
11 HOPE TO MEET YOU BOTH IN PERSON AT SOME POINT.
12 THANK YOU AGAIN FOR -- YOU MIGHT NOT KNOW, BUT THE
13 WORLD, ENTIRE WORLD, THERE'S HUNTINGTON'S DISEASE IN
14 BOTH SEXES, AND 10 PERCENT OF OUR POPULATION ARE
15 CHILDREN, AND THERE'S PEOPLE IN EVERY COUNTRY, IN
16 EVERY RACE WITH HUNTINGTON'S DISEASE. THE WORLD IS
17 WATCHING WHAT'S HAPPENING WITH YOU, WITH CIRM, UC
18 DAVIS. THANK YOU SO MUCH.

19 MR. SHEEHY: THANK YOU, JUDY.

20 MS. JACKSON: HI. I'M KATIE. I STAND IN
21 FRONT OF YOU TODAY WITH A MUCH DIFFERENT FEELING
22 THAN I STOOD IN FRONT OF YOU IN JULY OF 2012. I'LL
23 NEVER FORGET THE DAY LOOKING UP AT THE SCREEN AND
24 SEEING DR. WHEELLOCK'S PROJECT SCORING NO. 1 BY THE
25 SCIENTIFIC REVIEW BOARD. THE MOMENT WE HEARD THE

BARRISTERS' REPORTING SERVICE

1 FINAL YES BY THE ICOC TO FUND DR. WHEELLOCK'S PHASE I
2 TRIAL, THE FEELING I FELT I CAN'T EVEN PUT INTO
3 WORDS, EXCITEMENT, RELIEF, AND OVERWHELMING
4 LIBERATING FEELING OF HOPE. I REMEMBER THINKING,
5 OH, MY GOODNESS, THIS IS GOING TO HAPPEN. THANK
6 YOU, THANK YOU, CIRM. THIS IS GOING TO HAPPEN.

7 I STAND HERE IN FRONT OF YOU TODAY AS A
8 CAREGIVER AND A WIFE OF AN AMAZING BRAVE MAN WHO HAS
9 NOW BEEN THROUGH THE SCREENING PROCESS AND IS NOW
10 ENROLLED IN HD PRECELL. WE HAVE BEEN THROUGH
11 BASELINE, OUR FIRST TRIAL VISIT, AND JUST TWO DAYS
12 AGO WE HIT ANOTHER MILESTONE OF HAVING OUR
13 THREE-MONTH BASELINE CALL -- AFTER BASELINE CALL
14 APPOINTMENT. WE ARE EXCITED FOR APRIL WHEN WE GET
15 TO GO IN FOR OUR SECOND SIX-MONTH VISIT TO THE
16 HOSPITAL. THE WORLD IS WATCHING ON THE EDGE OF
17 THEIR SEATS.

18 I WOULD LIKE TO THANK KEVIN MCCORMACK FOR
19 GIVING ME THE OPPORTUNITY TO BLOG ABOUT WHAT THE
20 JULY 2012 ICOC BOARD MEETING MEANT TO MY FAMILY AND
21 MY HD COMMUNITY. I WAS THRILLED TO HEAR THAT MY
22 BLOG GOT THE THIRD MOST CIRM READ BLOG OF 2013. I
23 THINK THIS IS A TESTAMENT TO HOW MANY PEOPLE ARE
24 WATCHING AND WAITING ON EXCITEMENT TO HEAR ABOUT
25 THIS HISTORICAL TRIAL.

BARRISTERS' REPORTING SERVICE

1 HUNTINGTON'S DISEASE IS WITH NO HOPE, NO
2 THERAPY, NO TREATMENT. FINALLY, FINALLY HAS HOPE.
3 IN THE FIRST EVER IN HUMAN TRIAL FUNDED, ENROLLING,
4 AND MOVING FORWARD QUICKLY, AS QUICKLY AS POSSIBLE,
5 TO BRING THE FIRST EVER THERAPY TO HUNTINGTON'S
6 DISEASE. THANK YOU TO DR. NOLTA AND THE UC DAVIS
7 LAB, DR. WHEELOCK, AND EVERYONE AT THE UC DAVIS HD
8 CLINIC. AND, OF COURSE, NONE OF THIS WOULD HAPPEN
9 WITHOUT CIRM.

10 I AM THE VICE PRESIDENT OF HELP REACH
11 INTERNATIONAL, A NONPROFIT FOR HD. WE HAVE A RADIO
12 SHOW RIGHT NOW THAT HAS OVER 83,000 LISTENERS
13 INTERNATIONALLY. WE HAVE HAD AMAZING GUESTS ON OUR
14 SHOW LIKE ELLEN FEIGAL, AND I HAVE BEEN ON MANY
15 TIMES TALKING ABOUT CIRM AND THIS TRIAL. OUR
16 COMMUNITY IS VERY EXCITED TO LISTEN ABOUT ALL THAT'S
17 GOING ON.

18 I AM CHRONICLING BLOGS ABOUT OUR PERSONAL
19 JOURNEY THROUGH THIS TRIAL. I WOULD LIKE TO AGAIN
20 THANK KEVIN FOR LETTING ME BLOG THESE CHRONICLES ON
21 CIRM'S BLOG. AND SO FAR I'VE BEEN HEARING THAT
22 THEY'RE BEING SYNDICATED, WHICH IS WONDERFUL. I GET
23 CALLS ALL THE TIME FROM MOTHERS WHO HAVE LOST THEIR
24 CHILDREN, CHILDREN WHO ARE LOSING THEIR PARENTS, AND
25 I ALWAYS -- FROM THEIR VERY CRUEL DISEASE, BUT THE

BARRISTERS' REPORTING SERVICE

1 SPIRIT OF THE HD COMMUNITY IS AMAZING. WE ARE
2 FIGHTERS, AND I ALWAYS SAY NEVER UNDERESTIMATE A
3 MOTHER WHO IS ON A MISSION TO SAVE HER CHILDREN.

4 AND, CIRM, YOU HAVE GIVEN US MOTHERS HOPE
5 THAT THE FUTURE GENERATIONS WILL NOT HAVE TO LIVE
6 THE WAY WE HAVE AND OUR PAST GENERATIONS HAVE HAD TO
7 LIVE WITH HUNTINGTON'S DISEASE.

8 I'D LIKE TO LEAVE YOU REAL QUICK WITH MY
9 DREAM. MY DREAM IS THAT WE ARE THE LAST GENERATION
10 TO HAVE TO LIVE WITH THIS -- THE DEVASTATION AND
11 FEAR THAT THIS DISEASE PROMISES OUR FAMILY. BECAUSE
12 OF THIS TRIAL, MY DREAM AND MY HD COMMUNITY'S DREAM
13 IS BECOMING A REALITY. THANK YOU, CIRM.

14 MR. SHEEHY: THANK YOU. YES, DR. DULIEGE.

15 DR. DULIEGE: IF I JUST MAY MAKE A COMMENT
16 HERE, MAYBE ON BEHALF OF THE ICOC, BUT CERTAINLY ON
17 BEHALF OF MYSELF, TO THANK AGAIN ALL OF YOU FOR YOUR
18 COURAGE TO COME, YOUR DETERMINATION, AND YOUR
19 ENCOURAGEMENT AS WELL. IT'S REMARKABLE. IT'S
20 REALLY A LESSON FOR ALL OF US. SO THANK YOU. AND
21 WE DEFINITELY DO HOPE, ALL OF US, THAT YOUR DREAM
22 WILL COME TRUE AT SOME POINT SOONER RATHER THAN
23 LATER.

24 I ALSO WANTED TO THANK THE OTHER
25 PRESENTERS ABOUT THE CARDIOLOGY CONDITION AS WELL AS

BARRISTERS' REPORTING SERVICE

1 THE CMD CONDITION FOR THEIR EFFORT TO COME AND,
2 AGAIN, THEIR STAMINA TO PRESENT HERE THE SCIENCE. I
3 WANT TO SAY THAT AT TIMES FOR US THESE GRANTS ARE
4 GIVEN BASED ON SCIENTIFIC MERIT. IT DOESN'T MEAN
5 THAT WE EITHER IGNORE THE IMPORTANCE OF THIS FIELD
6 OR THE IMPACT IT CAN HAVE IN YOUR LIFE. BUT IT'S
7 THE SCIENTIFIC MERIT COMPARED TO OTHER GRANTS THAT
8 HAVE BEEN PRESENTED.

9 AND I WANT TO SAY AS A SIDE THAT I'M A
10 PEDIATRICIAN, AND I WORKED FOR TWO TO THREE YEARS ON
11 CMD VACCINES AND COULDN'T MORE AGREE WITH YOU.
12 WE'RE NOT READY TO HAVE ONE.

13 MR. SHEEHY: THANK YOU, DR. DULIEGE. AND
14 SO I THINK WE'RE READY FOR THE MOTION.

15 MR. HARRISON: JUST AS A REMINDER, THE
16 MOTION IS TO APPROVE THE BASIC BIOLOGY TRACK 1
17 APPLICATIONS IN TIER I AND NOT TO FUND THE REMAINING
18 BASIC BIOLOGY TRACK 1 APPLICATIONS. AND BOARD
19 MEMBERS WHO ARE ELIGIBLE TO PARTICIPATE IN THE VOTE
20 SHOULD ANSWER YES OR NO EXCEPT WITH RESPECT TO ANY
21 CONFLICTS THEY MAY HAVE IF THEY'RE CONFLICTED WITH
22 ANY OF THE APPLICATIONS IN TRACK 1.

23 MR. TORRES: SO MOVED.

24 DR. JUELGAARD: SECOND.

25 MR. SHEEHY: SO MARIA.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

2 DR. DULIEGE: YES, EXCEPT FOR POTENTIAL
3 CONFLICT OF INTEREST.

4 MS. BONNEVILLE: MARCY FEIT.

5 MS. FEIT: YES, EXCEPT FOR THOSE FOR WHICH
6 I AM CONFLICTED.

7 MS. BONNEVILLE: STEVE JUELSGAARD.

8 DR. JUELSGAARD: AYE.

9 MS. BONNEVILLE: LAUREN MILLER.

10 MS. MILLER: YES.

11 MS. BONNEVILLE: JOE PANETTA.

12 MR. PANETTA: AYE.

13 MS. BONNEVILLE: FRANCISCO PRIETO.

14 DR. PRIETO: AYE, EXCEPT FOR THOSE FOR
15 WHICH I HAVE A CONFLICT.

16 MS. BONNEVILLE: ROBERT QUINT.

17 DR. QUINT: YES.

18 MS. BONNEVILLE: JEFF SHEEHY.

19 MR. SHEEHY: YES, EXCEPT FOR THOSE WITH
20 WHICH I HAVE A CONFLICT.

21 MS. BONNEVILLE: JONATHAN THOMAS.

22 CHAIRMAN THOMAS: YES.

23 MS. BONNEVILLE: ART TORRES.

24 MR. TORRES: AYE.

25 MS. BONNEVILLE: DIANE WINOKUR.

BARRISTERS' REPORTING SERVICE

1 MS. WINOKUR: YES.

2 MR. HARRISON: AND THAT MOTION CARRIES BY
3 A VOTE OF TEN TO ZERO.

4 MR. SHEEHY: THANK YOU. SO I THINK WE'RE
5 READY TO GO INTO TRACK 2, EXPLORATORY CONCEPTS. DID
6 YOU WANT TO MAKE A COMMENT?

7 CHAIRMAN THOMAS: YEAH. JUST FOR MEMBERS
8 OF THE BOARD, AS YOU KNOW, UNDER THE PROTOCOL THAT'S
9 NOW BEEN IN PLACE ALMOST A YEAR, WITH RESPECT TO
10 PROJECTS THAT WERE RECOMMENDED FOR FUNDING, WE HAVE
11 AN APPELLATE PROCESS IN PLACE WHICH HAS BEEN WORKING
12 VERY NICELY OVER THE COURSE OF THE LAST FEW MEETINGS
13 WITH RESPECT TO VARIOUS ROUNDS OF FUNDING. AND WHAT
14 THAT APPELLATE PROCESS IS DESIGNED TO DO IS TO GIVE
15 APPLICANTS AN OPPORTUNITY TO HAVE STAFF REVISIT
16 THEIR PROJECTS AND FOR STAFF TO RECOMMEND OR NOT
17 RECOMMEND TO THE BOARD THAT WE FUND THEM.

18 WHAT WE'RE TRYING TO AVOID IS HAVING
19 APPEALS DONE AS A MATTER OF FIRST INSTANCE AT THE
20 BOARD, WHICH IS WHAT WAS THE PROCESS SEVERAL YEARS
21 AGO. AND SO JUST WANT TO REMIND EVERYBODY THERE ARE
22 PROJECTS HERE AND PI'S WHO HAVE EITHER GONE THROUGH
23 THE APPELLATE PROCESS UNSUCCESSFULLY WHO WANTED TO
24 TALK ABOUT SOMETHING AND THERE ARE THOSE THAT HAVE
25 NOT GONE THROUGH THE APPELLATE PROCESS WHICH, TO

BARRISTERS' REPORTING SERVICE

1 THOSE OF YOU IN THE AUDIENCE IN THAT LATTER
2 CATEGORY, I WOULD STRONGLY ENCOURAGE THAT YOU DO
3 THAT FIRST BECAUSE IF YOU DON'T GO THROUGH THAT AND
4 YOU COME TO THE BOARD, EVEN IF YOU'RE MAKING
5 PROGRAMMATIC ARGUMENTS, IT SOMEWHAT, I THINK,
6 PREDISPOSES THE BOARD TO NOT GIVE AS MUCH
7 CONSIDERATION AS HAD YOU GONE TO THE APPELLATE
8 PROCESS.

9 SO I JUST WANT THE BOARD TO BE AWARE OF
10 ALL THAT AS WE GO INTO THIS SECOND GROUP OF AWARDS
11 AND THOSE THAT FOLLOW. THANK YOU, MR. SHEEHY.

12 MR. SHEEHY: THANK YOU, DR. THOMAS. SO,
13 DR. SHEPARD, ARE WE READY? YOU'RE DOING A GREAT
14 JOB, BY THE WAY. THANK YOU. I KNOW IT'S A LITTLE
15 COMPLICATED TODAY.

16 DR. SHEPARD: OKAY. NOW LET'S SWITCH
17 GEARS. WE'RE TALKING ABOUT THE SECOND TYPE OF
18 AWARD, THE EXPLORATORY CONCEPTS AWARD. THIS WAS A
19 NEW ELEMENT ADDED TO OUR BASIC BIOLOGY PROGRAM. SO
20 IT'S NEW TO EVERYONE HERE, EXISTING AND NEW BOARD
21 MEMBERS.

22 SO JUST TO REMIND EVERYONE AGAIN, THIS IS
23 A FOCUSED SHORT PROPOSAL TO TEST THE HIGHLY NOVEL
24 HYPOTHESIS OR A NEW IDEA THAT, IF PROVEN, WOULD
25 POTENTIALLY RESULT IN A TRANSFORMATIVE DISCOVERY FOR

BARRISTERS' REPORTING SERVICE

1 THE STEM CELL FIELD PERHAPS BY CHALLENGING DOGMA OR
2 UNCOVERING SOMETHING NEW AND UNEXPECTED.

3 BECAUSE OF THIS HIGH RISK, HIGH POTENTIAL
4 GAIN TYPE OF STUDY THAT WE WERE TRYING TO TARGET,
5 THE REVIEW CRITERIA ARE SLIGHTLY DIFFERENT THAN THEY
6 WERE FOR THE FIRST TYPE OF AWARD WE DISCUSSED, TO
7 PLACE MORE EMPHASIS ON THE NOVELTY AND POTENTIAL
8 OUTCOMES.

9 SO THE FIRST REVIEW CRITERION IS NOVELTY
10 AND TRANSFORMATIVE POTENTIAL. REVIEWERS WERE
11 LOOKING AT WHETHER OR NOT THE APPLICANT PROPOSED A
12 NOVEL HYPOTHESIS, WHETHER IT'S BASED ON A LOGICAL
13 RATIONALE, AND, IF SUCCESSFULLY TESTED, WOULD THE
14 OUTCOME OF THAT RESEARCH BE TRANSFORMATIVE. IT'S
15 IMPORTANT TO NOTE THAT PRELIMINARY DATA WAS NOT A
16 REVIEW CRITERIA FOR THIS AWARD ALTHOUGH IT WAS
17 SUGGESTED IN THE RFA THAT IF YOU HAVE PRELIMINARY
18 DATA, IT COULD BE HELPFUL TO SUPPORT THE RATIONALE.
19 BUT, AGAIN, IT WAS NOT A BASIS OF THE REVIEW
20 CRITERIA.

21 THE SECOND KEY REVIEW CRITERION IS
22 FEASIBILITY AND EXPERIMENTAL DESIGN. WERE THE
23 APPROACHES PROPOSED ENABLING OF THE HYPOTHESIS TO BE
24 TESTED? ARE THE AIMS ACHIEVABLE? DOES THE RESEARCH
25 TAKE PLACE IN AN APPROPRIATE ENVIRONMENT? AGAIN,

BARRISTERS' REPORTING SERVICE

1 THE TRACK RECORD AND COMMITMENT OF THE PRINCIPAL
2 INVESTIGATOR IS AN IMPORTANT REVIEW CRITERION AS
3 WELL AS THE QUALIFICATIONS OF THE RESEARCH TEAM.
4 AND ONCE AGAIN, WE ASKED THE REVIEWERS TO TAKE A
5 SECOND LOOK AT RESPONSIVENESS IN THE FULL
6 APPLICATION TO ENSURE THAT IT IS A PROJECT THAT IS
7 RELEVANT TO STEM CELL BIOLOGY, DIRECT REPROGRAMMING,
8 OR DETERMINATION OF CELL FATE AND IDENTITY, AND
9 WHETHER OR NOT THE MODEL SYSTEMS USED IN THESE
10 STUDIES WERE EITHER HUMAN OR WITH COMPELLING
11 JUSTIFICATION A VERTEBRATE MODEL SYSTEM.

12 SO JUST LOOKING AT THESE TYPE OF AWARDS
13 NOW, WE ENDED UP WITH FOUR THAT WERE RECOMMENDED FOR
14 FUNDING IN TIER I, SCORING BETWEEN 75 AND 100.
15 THESE ARE SMALLER AWARDS AND SHORTER AWARDS. SO
16 THIS TOTALS ONLY UP TO ABOUT \$2.5 MILLION. THERE
17 WERE FIVE PROPOSALS THAT FELL INTO THIS MIDDLE
18 CATEGORY OF TIER II, AS I MENTIONED BEFORE,
19 INDICATING MODERATE QUALITY OR NO CONSENSUS WITH
20 REVIEWER ENTHUSIASM AND SUITABLE FOR PROGRAMMATIC
21 REVIEW. AND THEN THERE WERE TEN THAT SCORED WITHIN
22 TIER III, NOT RECOMMENDED FOR FUNDING.

23 FROM THIS TYPE OF AWARD, STAFF HAS
24 RECOMMENDATIONS FOR TWO OF THE PROPOSALS FROM TIER
25 II. WE ONLY LOOKED AT THE PROPOSALS IN TIER II,

BARRISTERS' REPORTING SERVICE

1 ACCEPTED THE GRANTS WORKING GROUP RECOMMENDATIONS
2 FOR TIER I. WE DID GO THROUGH EACH OF THE PROPOSALS
3 IN TIER II, AND ONLY THE TWO THAT I WILL PRESENT TO
4 YOU SHORTLY ARE THE ONES WE'RE RECOMMENDING FOR
5 FUNDING AT THIS TIME.

6 MR. SHEEHY: THANK YOU, DR. SHEPARD. SO
7 COULD WE SEE THE WHATCHAMACALLIT? AND THIS WILL BE
8 PAGE 1. SO WE'RE REALLY OPERATING ON PAGE 1 OF --
9 THERE'S FOUR THAT ARE IN WHITE. AND SO OUR -- WE'VE
10 SPENT ABOUT 25 MILLION OF OUR BUDGETED 40 MILLION.
11 AM I CORRECT? ARE WE UPDATED, DR. SAMBRANO?

12 DR. SAMBRANO: YES, THAT'S CORRECT. SO
13 THIS INCLUDES EVERYTHING THAT'S IN TIER I AS WELL
14 FOR TRACK 2.

15 MR. SHEEHY: OKAY. GREAT. GREAT. AND
16 THESE AWARDS ARE MUCH LESS THAN THE ONES WE'VE BEEN
17 FUNDING ABOUT, LOOKS TO ME, LIKE JUST A LITTLE BIT
18 OVER HALF A MILLION DOLLARS APIECE. SO I THINK IS
19 THERE ANY -- IS THERE A MOTION TO MOVE ANYTHING OUT
20 OF TIER I? OUT OF TIER I. WE'VE GOT TO GIVE PEOPLE
21 A CHANCE TO MOVE THEM OUT, DON. GIVE THEM JUST A
22 SECOND JUST IN CASE.

23 NOW I THINK WE WOULD TAKE THE STAFF
24 RECOMMENDATIONS, DR. SHEPARD, AND I THINK THERE ARE
25 TWO RECOMMENDATIONS.

BARRISTERS' REPORTING SERVICE

1 DR. SHEPARD: SO I GUESS FOLLOWING THE
2 MODEL WE DID FOR THE PREVIOUS TYPE OF AWARD, MAYBE
3 WE SHOULD GO IN ORDER. OKAY. ALL RIGHT. SO
4 EVERYBODY IS GOOD TO START THIS DISCUSSION? THERE'S
5 NO CONFLICTS THAT WE NEED TO WORRY ABOUT? THERE
6 ARE. OKAY. SORRY.

7 THIS IS PROPOSAL NO. RB5-7458 ENTITLED
8 "NONINVASIVE LIVE IMAGING OF STEM CELL SIGNATURE
9 METABOLIC STATES."

10 SO IN THIS PROPOSAL THE APPLICANT HAS A
11 UNIQUE TOOL IN THEIR HANDS. IT'S A UNIQUE IMAGING
12 PLATFORM THAT ENABLES SINGLE CELLS TO BE EXAMINED
13 WITHOUT THE NEED FOR LABELING OR OTHER FORMS OF
14 MANIPULATION THAT CAN INADVERTENTLY IMPACT CELL
15 BEHAVIOR. THIS ENABLES CELLS TO BE EXAMINED IN AN
16 IN SITU SETTING AT THE SINGLE CELL LEVEL. SO THIS
17 IS A GROUNDBREAKING NEW IMAGING PLATFORM.

18 THIS PROPOSAL TESTS WHETHER THIS TECHNIQUE
19 CAN BE SUCCESSFULLY APPLIED TOWARDS INVESTIGATING
20 FUNDAMENTAL STEM CELL BEHAVIORS IN WAYS THAT HAVE
21 NOT BEEN PREVIOUSLY POSSIBLE.

22 KEY POINTS TO CONSIDER, THE DEVELOPMENT
23 AND USE OF SINGLE CELL IMAGING TECHNIQUES REPRESENT
24 THE CUTTING-EDGE OF THE FIELD AND THEY'RE NOT
25 PARTICULARLY WELL REPRESENTED IN CIRM'S RESEARCH

BARRISTERS' REPORTING SERVICE

1 PORTFOLIO AT THIS TIME. SO IT'S AN EXCITING NEW
2 PLATFORM.

3 A SUCCESSFUL OUTCOME TO THIS STUDY COULD
4 HAVE A TRANSFORMATIVE IMPLICATION FOR BOTH BASIC AND
5 TRANSLATIONAL SCIENCE.

6 MR. SHEEHY: THANK YOU, DR. SHEPARD. DO I
7 HAVE A MOTION TO ACCEPT THE STAFF RECOMMENDATION?

8 DR. PRIETO: SO MOVED.

9 MR. SHEEHY: DO I HAVE A SECOND?

10 DR. DULIEGE: I SECOND.

11 MR. SHEEHY: IS THERE ANY DISCUSSION?
12 PUBLIC COMMENT ON THIS APPLICATION? COULD WE GET A
13 ROLL CALL, MARIA, PLEASE. MS. BONNEVILLE.

14 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

15 DR. DULIEGE: YES.

16 MS. BONNEVILLE: MARCY FEIT.

17 MS. FEIT: YES.

18 MS. BONNEVILLE: STEVE JUELSGAARD.

19 DR. JUELSGAARD: AYE.

20 MS. BONNEVILLE: LAUREN MILLER.

21 MS. MILLER: AYE.

22 MS. BONNEVILLE: JOE PANETTA.

23 MR. PANETTA: AYE.

24 MS. BONNEVILLE: FRANCISCO PRIETO.

25 DR. PRIETO: AYE.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: ROBERT QUINT.
2 DR. QUINT: YES.
3 MS. BONNEVILLE: JEFF SHEEHY.
4 MR. SHEEHY: YES.
5 MS. BONNEVILLE: JONATHAN THOMAS.
6 CHAIRMAN THOMAS: YES.
7 MS. BONNEVILLE: ART TORRES.
8 MR. TORRES: AYE.
9 MS. BONNEVILLE: DIANE WINOKUR.
10 MS. WINOKUR: YES.
11 MR. HARRISON: MOTION CARRIES BY A VOTE OF
12 ELEVEN TO ZERO.
13 MR. SHEEHY: THANK YOU. SO THERE'S
14 ANOTHER STAFF RECOMMENDATION, I THINK, DR. SHEPARD.
15 DR. SHEPARD: YES. THE SECOND STAFF
16 RECOMMENDATION IS FOR RB5-07414, "DIRECTED
17 DIFFERENTIATION OF SPECIALIZED ENDOTHELIAL CELLS."
18 SO THIS PROJECT IS FOCUSED ON THE
19 DIFFERENTIATION OF HUMAN EMBRYONIC STEM CELLS INTO
20 ENDOTHELIAL CELLS. THIS IS A CRITICAL CELL TYPE FOR
21 REGENERATIVE MEDICINE APPLICATIONS. IN FACT,
22 THERE'S A STRONG EMPHASIS ON SPECIFYING ARTERIAL
23 SUBTYPES OF THESE ENDOTHELIAL CELLS WHICH COULD BE
24 AN IMPORTANT RESOURCE FOR CREATING VASCULAR GRAFTS
25 IN FUTURE TRANSLATIONAL TYPE STUDIES.

BARRISTERS' REPORTING SERVICE

1 THE PROPOSAL ACTUALLY ADDRESSES TWO THAT
2 ARE NOT REPRESENTED STRONGLY IN CIRM'S RESEARCH
3 PORTFOLIO. ONE, THE SPECIFICATION OF ENDOTHELIAL
4 CELL TYPES FROM PLURIPOTENT STEM CELLS; AND, TWO,
5 THE ROLE OF CELL MECHANICS IN THE DIFFERENTIATION OF
6 STEM CELLS. SO THIS IS ONE OF THOSE FUNDAMENTAL
7 MECHANISMS THAT ARE TARGETED BY OUR ACTUALLY
8 FUNDAMENTAL MECHANISMS AWARDS.

9 SOME OF THE REVIEWERS COMMENTED THAT THE
10 SCOPE OF ACTIVITIES IN THIS AWARD WERE SIMILAR TO
11 THE ONES THAT THEY TYPICALLY SEE THROUGH THE OTHER
12 TRACK THAT WE ALREADY DISCUSSED, BUT THIS WAS A
13 HIGHER RISK PROPOSAL BASICALLY DUE TO SOME LACK OF
14 PRELIMINARY DATA, WHICH IS WHY THE APPLICANT
15 PROBABLY CHOSE TO SUBMIT IT THROUGH THIS TRACK.

16 FINALLY, THE APPLICANT IS A CURRENT CIRM
17 GRANTEE IN THE NEW FACULTY II PROGRAM WHOSE PROJECT
18 IS NEARING THE END. AND THIS BASIC BIOLOGY GRANT
19 WOULD ALLOW THIS PRINCIPAL INVESTIGATOR TO
20 CAPITALIZE ON THE INITIAL INVESTMENT CIRM HAS MADE
21 ON THE NEW FACULTY AWARD.

22 SO THE RECOMMENDATION IS TO FUND. AND IF
23 YOU WOULD LIKE TO KNOW MORE DETAILS ABOUT THE
24 SCIENCE BEHIND THIS PROPOSAL, MY COLLEAGUE, DR.
25 RAHUL THAKAR, THERE HE IS, IS HERE TO ANSWER ANY

BARRISTERS' REPORTING SERVICE

1 QUESTIONS.

2 MR. SHEEHY: DO I HAVE A MOTION TO ACCEPT
3 THE STAFF RECOMMENDATION?

4 DR. DULIEGE: I SECOND AGAIN.

5 MR. TORRES: SECOND.

6 MR. SHEEHY: SO THE MOTION IS BY DR.
7 DULIEGE AND SECONDED BY SENATOR TORRES. DO WE HAVE
8 ANY BOARD COMMENT?

9 DR. JUELSGAARD: SO IN THE PREVIOUS GRANT
10 THAT WE JUST RECOMMENDED, THE OVERALL SCORE WAS 70.
11 IN THIS CASE THE OVERALL SCORE IS 67. AND SO WE'RE
12 GETTING DOWN INTO THE 60S, WHICH IS OFTENTIMES AN
13 AREA THAT WE DON'T SEE GRANTS RECOMMENDED FOR
14 APPROVAL. SO CAN YOU TALK TO ME A LITTLE BIT ABOUT
15 WHAT WENT ON IN THE REVIEW SESSION THAT LED TO THIS
16 AVERAGE SCORE OF 67? I READ THE REVIEW, AND IT'S
17 NOT CLEAR TO ME WHAT THE CRITICISMS WERE OR THE
18 CONCERNS WERE THAT LED TO A SCORE AT THAT LEVEL.

19 DR. SHEPARD: SO I WOULD ASK DR. THAKAR TO
20 COME TO ADDRESS THOSE QUESTIONS.

21 DR. THAKAR: HELLO. SO YOU'RE WONDERING
22 WHAT THE EXACT ISSUES WERE THAT LOWERED THE SCORE
23 DOWN TO WHAT IT WAS. EFFECTIVELY THERE WERE SOME
24 CRITICISM FROM THE PI'S THAT THERE SHOULD HAVE BEEN
25 MORE EXPLANATION IN TERMS OF ALTERNATIVE APPROACHES

BARRISTERS' REPORTING SERVICE

1 IN TERMS OF IF ANY OF THESE PROPOSED METHODS FAILED.

2 THEY ALSO WANTED -- I'M TRYING TO MAKE

3 SURE I SAY THIS WITHOUT BREAKING ANY

4 CONFIDENTIALITY. THERE'S ALSO CRITICISM ABOUT THE

5 TEAM ITSELF THAT HAS BEEN ASSEMBLED BEING VAGUE.

6 IT COMES DOWN TO THIS PROPOSAL IS -- THIS

7 APPLICATION IS PROPOSING DIFFERENT ENDOTHELIAL CELL

8 SUBTYPES. AND THE BIGGEST THING IN THE COURSE OF

9 THE DISCUSSION THAT THE REVIEWERS HAD WAS IS THERE

10 ENOUGH EVIDENCE BEING PRESENTED THAT WHEN THEY DO

11 THESE CHEMICAL AND PHYSICAL STIMULATIONS, ARE THEY

12 ACTUALLY INDEED PRODUCING THESE SUBTYPES. SO THE

13 QUESTION WAS RAISED ARE THE ASSAYS AT THE END

14 THOROUGH ENOUGH? ARE THEY DOING WHAT'S NECESSARY?

15 AND THAT'S ALSO WHAT DR. SHEPARD ALLUDED TO EARLIER

16 IN TERMS OF SOME OF THE PRELIMINARY DATA, HENCE IT'S

17 BEING A TRACK 2.

18 DOES THAT CLEAR THINGS UP? I CAN SPEAK IN

19 MORE DETAIL IN A CLOSED SESSION.

20 DR. JUELSGAARD: LET ME JUST ASK THE

21 QUESTION THIS WAY. SO DO YOU THINK THAT THE 67

22 SCORE WAS AN UNFAIR SCORE; OR, RATHER, DO YOU

23 BELIEVE, NO, IT'S A FAIR SCORE, BUT GIVEN THE

24 IMPORTANCE AND POTENTIAL FOR THIS PARTICULAR

25 PROJECT, IT SHOULD BE FUNDED ANYWAY?

BARRISTERS' REPORTING SERVICE

1 DR. THAKAR: SO ARE YOU ASKING FOR THE
2 STAFF OPINION OF THIS THEN?

3 DR. JUELSGAARD: I'LL START WITH YOUR
4 OPINION.

5 DR. THAKAR: WELL, MY OPINION IS IT IS A
6 FAIR SCORE WHEN YOU TAKE INTO ACCOUNT THE CONTEXT OF
7 THE SCORE. YES, THAT CRITICISM EXISTS, BUT IT'S
8 ALSO VOID BY THE FACT THAT THERE'S A NUMBER OF
9 POSITIVES; NAMELY, LIKE THE GAP IN OUR RESEARCH
10 PORTFOLIO, FOR EXAMPLE, THE CELL MECHANICS GAP AS
11 WELL AS THE RESEARCH ON ENDOTHELIUM. WHEN YOU LOOK
12 AT IT IN THAT CONTEXT, BUT YOU ALSO WEIGH IN THE
13 REVIEWER CRITICISMS, I THINK THAT'S HOW YOU GOT THE
14 SCORE THAT IT HAD. OTHER THAN THAT, I WOULD BE GLAD
15 TO OFFER YOU MORE IN CLOSED SESSION.

16 DR. JUELSGAARD: JUST THEN TO GET TO THE
17 SECOND QUESTION, BUT STILL YOU FEEL, IN SPITE OF
18 THOSE CRITICISMS AND THAT SCORE, THIS IS SOMETHING
19 WORTH FUNDING BECAUSE, AND THEN THERE'S A BLANK
20 THERE WHICH YOU CAN FILL IN.

21 DR. THAKAR: I THINK THIS IS -- IT'S MORE
22 OF A PROGRAMMATIC ISSUE.

23 MR. SHEEHY: I THINK DR. TROUNSON HAD A
24 COMMENT.

25 DR. TROUNSON: SO I THINK, BOARD, THAT THE

BARRISTERS' REPORTING SERVICE

1 CRITICAL COMPONENT HERE IS THAT WE REALLY DON'T HAVE
2 MUCH WORK GOING ON IN ENDOTHELIAL CELLS.
3 ENDOTHELIAL CELLS ARE REALLY THE VASCULAR SUBSET
4 THAT YOU REALLY NEED FOR ALL REGENERATIVE PURPOSES.
5 IF YOU DON'T HAVE THAT SUBSET, YOU'RE IN PROBLEMS
6 WITH TRANSPLANTATION, WITH CELL DELIVERY, HEART,
7 LUNG, MUSCLE, EVERYTHING. SO WHAT WE REALLY DON'T
8 HAVE MUCH IS WORK IN THIS ENDOTHELIAL AREA. YET A
9 LOT OF THE FOCUS IN REGENERATIVE MEDICINE IS ON
10 ENDOTHELIAL CELLS.

11 NOW, THEY'VE GOT A PROPOSAL WHICH WOULD
12 PROBABLY TAKE US TOWARDS, IF NOT GET TOWARDS,
13 ENDOTHELIAL CELLS. AND IT'S A LITTLE BIT RISKY,
14 YES, BECAUSE IT'S IN THIS RISKY SECTION; BUT IF WE
15 HAD THE ABILITY TO DERIVE THESE ENDOTHELIAL CELLS
16 FROM PLURIPOTENTIAL STEM CELLS, IT WOULD BE A VERY
17 POWERFUL ADJUNCT TO WHAT WE'RE DOING WITH ALL SORTS
18 OF OTHER TRANSPLANTATION. SO WE FELT THAT, YES,
19 IT'S RISKY; YES, IT'S A TEAM WHICH IS PRETTY
20 REASONABLE, IF NOT NECESSARILY THE HIGHEST TOP, BUT
21 IT'S A VERY GOOD TEAM. AND IT'S JUST POSSIBLE THAT
22 THEY WOULD DELIVER ON THIS IN THIS KIND OF PROGRAM
23 WHICH WE'VE SAID WE WANT TRANSFORMATIVE STUFF.

24 SO, IN ESSENCE, WE FELT THAT THIS WAS A
25 FAIR THING PROGRAMMATICALLY THAT WOULD SORT OF

BARRISTERS' REPORTING SERVICE

1 ELEVATE IT INTO SOMETHING THAT WOULD BE REALLY
2 WORTHWHILE IF THEY CAN MAKE A START, IF THEY COULD
3 GET A START, IF THEY COULD GET THE EDGE ON THIS
4 TECHNOLOGY, AND WE FEEL THAT THEY PROBABLY WILL.
5 AND SO IT WAS WORTH A SHOT FROM OUR POINT OF VIEW.

6 DR. JUELSGAARD: THANK YOU.

7 MR. SHEEHY: DR. PRIETO.

8 DR. PRIETO: YES. I THINK DR. TROUNSON
9 REALLY NICELY SUMMARIZED THE PROGRAMMATIC REASONS
10 THAT WE WOULD MOVE AN APPLICATION LIKE THIS. AND
11 UNDER OUR PREVIOUS SCHEMA, THIS IS THE SORT OF
12 THING -- THE DISCUSSION THAT WOULD HAVE TAKEN PLACE
13 AT THE GRANTS WORKING GROUP, WHICH COULD HAVE
14 ELEVATED THE SCORE THERE SO THAT WE WOULD HAVE SEEN
15 IT THEN POTENTIALLY IN TIER I. BUT WE ARE NOW IN
16 CHARGE OF THAT PORTION OF THE REVIEW, AND WE HAVE TO
17 MAKE THAT DECISION HERE.

18 I'D JUST LIKE TO MAKE A COMMENT ABOUT THE
19 PROCESS. ON ANY GIVEN DAY WITH ANY GIVEN REVIEWER
20 ASSIGNED TO A SPECIFIC GRANT, THIS IS REALLY A
21 TIGHTLY BUNCHED GROUP OF APPLICATIONS IN TIER II ON
22 THE SCORE. THESE SCORES ARE IDENTICAL. THERE IS
23 REALLY NO DIFFERENCE BETWEEN A 65 AND A 67 AND A 62.
24 I MEAN YOU GET A DIFFERENT REVIEWER AND THEY'RE IN
25 THE SAME -- THEY'RE NOT ONLY IN THE SAME BALLPARK,

BARRISTERS' REPORTING SERVICE

1 THEY'RE ON THE SAME BASE PATH. THEY'RE BETWEEN
2 THIRD AND HOME.

3 MR. SHEEHY: ANY OTHER -- YES. DR.
4 SAMBRANO.

5 DR. SAMBRANO: I JUST WANT TO PROVIDE A
6 LITTLE BIT OF CLARIFICATION. SO JUST SO YOU'RE
7 AWARE, IN TERMS OF THE RECOMMENDATIONS THAT WE ARE
8 BRINGING TO YOU, WE ARE NOT CHALLENGING THE
9 SCIENTIFIC SCORE ON ANY OF THESE. AND I THINK, AS
10 WAS ARTICULATED BY DR. PRIETO AND OTHERS, THE POINT
11 HERE IS TO IDENTIFY ADDITIONAL PROGRAMMATIC VALUE
12 THAT EACH OF THESE APPLICATIONS COULD BRING
13 INDEPENDENT OF THAT SCORE. BECAUSE THESE ARE IN
14 TIER II WHERE THE SCORE IS PERHAPS NOT OF THE SAME
15 QUALITY AS THOSE IN TIER I, BUT THEY ARE STILL
16 SUFFICIENTLY MERITORIOUS, THAT THEY COULD BE FUNDED,
17 IDENTIFYING THESE PROGRAMMATIC FACTORS, I THINK, ARE
18 SOMETHING THAT ARE AVAILABLE TO YOU FOR DISCUSSION
19 AND CONSIDERATION.

20 MR. SHEEHY: JUST TO BE CLEAR, AT THE TOP
21 OF YOUR PAGE, IT DEFINES TIER II, WHICH MEANS
22 MODERATE SCIENTIFIC QUALITY OR CONSENSUS OR
23 SCIENTIFIC MERIT -- OR CONSENSUS ON
24 SCIENTIFIC -- I'M GOING BLIND TOO -- ON SCIENTIFIC
25 MERIT CANNOT BE REACHED.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: I'M SURE DEAN PULIAFITO
2 HAS A NICE PROCEDURE.

3 MR. SHEEHY: AND MAY BE SUITABLE FOR
4 PROGRAMMATIC CONSIDERATION BY THE ICOC. SO THIS IS
5 KIND OF WHAT WE ANTICIPATED.

6 DO WE HAVE ANY MORE -- DR. DULIEGE.

7 DR. DULIEGE: SO I JUST WANT TO FOLLOW ON
8 WHAT DR. PRIETO SAID. YOU KNOW, IT'S REALLY
9 IMPORTANT THAT WE REVIEW ALL THE APPLICATIONS FOR
10 WHICH YOU'RE RECOMMENDING FUNDING, BUT IT WOULD BE
11 RARE THAT WE WOULD GO AGAINST THAT RECOMMENDATION.
12 IT'S MORE IMPORTANT TO ME THAT YOU'RE GIVING US A
13 LITTLE BIT MORE BACKGROUND ON WHY FOR THOSE WHO ARE
14 IN TIER II, IN FACT, HAVE SIMILAR SCORES. YOU'RE
15 NOW RECOMMENDING THE FUNDING. WHAT DIFFERENTIATED
16 THAT RECOMMENDATION? WHAT'S THE BASIS FOR THIS
17 DIFFERENT RECOMMENDATION? PARTICULARLY FOR, FOR
18 INSTANCE, 07320, AND THERE'S NOT THAT MANY. IF YOU
19 WOULDN'T MIND TO GO VERY BRIEFLY FOR EACH OF THESE
20 THREE THAT YOU DIDN'T RECOMMEND FOR FUNDING, WHAT
21 WAS THE RATIONALE COMPARED TO THOSE WHERE YOU
22 RECOMMENDED IT?

23 DR. SHEPARD: WE DIDN'T LOOK FOR REASONS
24 TO NOT RECOMMEND SOMETHING. WE LOOKED FOR
25 COMPELLING REASONS TO RECOMMEND THEM. AND WE

BARRISTERS' REPORTING SERVICE

1 DID --

2 DR. DULIEGE: TRUE. THIS MADE THE
3 DIFFERENCE.

4 DR. SHEPARD: AND WE ARE PREPARED TO
5 DISCUSS -- IF YOU WANT TO DISCUSS SOMETHING ABOUT
6 THE PORTFOLIO ABOUT SOME OF THE OTHER APPLICATIONS
7 IN TIER II, WE'RE PREPARED TO DO THAT WITH YOU AND
8 WE'RE HAPPY TO DO SO. WE JUST PULLED UP A COUPLE
9 THAT WE HAD COMPELLING REASONS TO DO SO. THE
10 PROGRAMMATIC REVIEW IS LARGELY SOMETHING THAT'S
11 GOING TO TAKE PLACE HERE, AND YOU ALSO HAVE OPINIONS
12 TO WEIGH IN ON THIS MATTER.

13 DR. PRIETO: DO WE NEED A MOTION ON
14 SPECIFIC APPLICATIONS BECAUSE OF THE CONFLICTS?

15 MR. SHEEHY: I THINK WE DO. AND I THINK
16 MAYBE, UNLESS SOMEONE HAS ANOTHER COMMENT ABOUT THIS
17 APPLICATION, WE SHOULD TAKE PUBLIC COMMENT ON IT AND
18 GO AHEAD AND VOTE, AND THEN WE CAN TALK ABOUT THE
19 OTHERS WHICH I THINK IS VERY REASONABLE. DOES THAT
20 MAKE SENSE?

21 DR. PRIETO: YES, BECAUSE I WOULD LIKE TO
22 MAKE A MOTION ON ONE OF THEM.

23 MR. SHEEHY: AND, AGAIN, JUST BECAUSE
24 STAFF DIDN'T RECOMMEND THEM -- DID NOT MAKE A
25 RECOMMENDATION DOESN'T PRECLUDE US FROM HAVING A

BARRISTERS' REPORTING SERVICE

1 DISCUSSION OR TAKING ACTION ON THEM.

2 DR. SHEPARD: AS I MENTIONED EARLIER, WHEN
3 WE CONCEIVED OF THIS CONCEPT, WE ENVISIONED THAT THE
4 PROGRAMMATIC REVIEW BECAUSE AT THE TIME IT WAS
5 TAKING PLACE AT THE GRANTS WORKING GROUP MEETING
6 WHERE PATIENT ADVOCATES AND THE SCIENTISTS WORKED
7 TOGETHER DURING PROGRAMMATIC REVIEW. AND THEY
8 ENVISIONED THAT WANTING TO BALANCE SOME ADDITIONAL
9 RISK IN THE PORTFOLIO BY FUNDING MORE OR LESS OF THE
10 TRACK 2 APPLICATIONS WOULD BE AN OPTION. SO I THINK
11 THIS IS A REALLY USEFUL DISCUSSION FOR YOU TO HAVE.

12 MR. SHEEHY: SO DO WE HAVE ANY PUBLIC
13 COMMENT? SORRY. DR. VUORI.

14 DR. VUORI: IF IT'S APPROPRIATE FOR ME TO
15 MAKE THE COMMENT. AND FOLLOWING A LITTLE BIT ON
16 WHAT STEVE SAID, I THINK WHAT MIGHT BE HELPFUL WHEN
17 THE ICOC MEMBERS ASK YOU GUYS TO RESPOND TO THE
18 CRITIQUE BY THE REVIEWERS, ESPECIALLY IF THE
19 CRITICISM STEVE OUTLINED REALLY RELATES MAYBE TO THE
20 REVIEWERS POTENTIALLY QUESTIONING WHETHER THIS IS
21 THE RIGHT TEAM AND WHETHER THEY HAVE THE RIGHT
22 THOUGHT PROCESS IN PLACE TO EXECUTE, IF THE STAFF
23 COULD SIMPLY MAKE A COMMENT THAT YOU HAVE THE
24 CONFIDENCE THAT THEY CAN DO THAT, THAT WILL BE
25 HELPFUL. I THINK THAT WOULD SORT OF ALLEVIATE THE

BARRISTERS' REPORTING SERVICE

1 CONCERNS.

2 DR. TROUNSON: YOU WANT US TO MAKE A
3 COMMENT?

4 MR. SHEEHY: YEAH. I THINK THAT'S KIND
5 OF -- IF YOU WOULDN'T MIND, DR. TROUNSON.

6 DR. TROUNSON: I THINK, AS I SAID IN MY
7 COMMENTS, THAT I THINK THIS TEAM IS OKAY. I THINK
8 THEY'VE GOT THE ABILITIES TO DO THIS. THIS IS
9 SOMETHING THAT COULD BE DONE, SHOULD BE DONE. SO WE
10 HAVE ENOUGH CONFIDENCE IN THIS TEAM FOR THEM TO DO
11 IT.

12 AND WHEN WE LOOK THROUGH THE PORTFOLIO OF
13 MAKING THESE RECOMMENDATIONS, WE SPEND A BIT OF TIME
14 THINKING ABOUT THAT AND WHETHER IT COULD BE BETTER
15 COMPOSED. AND WE SAID, WELL, REALLY IF THEY COULD
16 SHOW IT, IT WOULD BE SUCH A NICE BIG STICK. IT
17 WOULD CHANGE THINGS QUITE A LOT, THAT WE OUGHT TO
18 GIVE THEM A SHOT BECAUSE THEY'RE REALLY IN THE RIGHT
19 FRAMEWORK. WELL, THAT'S WHY WE'RE RECOMMENDING THAT
20 PRINCIPALLY PROGRAMMATICALLY TO YOU THAT THEY COULD
21 DO IT.

22 MR. SHEEHY: OKAY. ANY OTHER BOARD
23 DISCUSSION? ANY PUBLIC COMMENT? COULD WE CALL THE
24 ROLL, PLEASE.

25 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

BARRISTERS' REPORTING SERVICE

1 DR. DULIEGE: YES.
2 MS. BONNEVILLE: MARCY FEIT.
3 MS. FEIT: YES.
4 MS. BONNEVILLE: STEVE JUELSGAARD.
5 DR. JUELSGAARD: AYE.
6 MS. BONNEVILLE: LAUREN MILLER.
7 MS. MILLER: AYE.
8 MS. BONNEVILLE: JOE PANETTA.
9 MR. PANETTA: AYE.
10 MS. BONNEVILLE: FRANCISCO PRIETO.
11 DR. PRIETO: AYE.
12 MS. BONNEVILLE: ROBERT QUINT.
13 DR. QUINT: YES.
14 MS. BONNEVILLE: JEFF SHEEHY.
15 MR. SHEEHY: YES.
16 MS. BONNEVILLE: JONATHAN THOMAS.
17 CHAIRMAN THOMAS: YES.
18 MS. BONNEVILLE: ART TORRES.
19 MR. TORRES: AYE.
20 MS. BONNEVILLE: DIANE WINOKUR.
21 MS. WINOKUR: YES.
22 MR. HARRISON: MOTION CARRIES BY A VOTE OF
23 ELEVEN TO ZERO.
24 MR. TORRES: I HAVE A MOTION, MR.
25 CHAIRMAN.

BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: YES. SENATOR TORRES HAS A
2 MOTION.

3 MR. TORRES: I'D LIKE TO MOVE RB5-07320
4 INTO THE FUNDING CATEGORY.

5 DR. PRIETO: SECOND.

6 MR. SHEEHY: WE HAVE A SECOND FROM DR.
7 PRIETO. WHAT IS THE INTEREST OF THE BOARD? WOULD
8 YOU LIKE TO HEAR ABOUT THIS APPLICATION?

9 DR. DULIEGE: YES. ABSOLUTELY.

10 MS. WINOKUR: I HAVE SOME COMMENTS.

11 MR. SHEEHY: AND THEN, OF COURSE, WE'LL
12 HAVE COMMENTS. SO MAYBE THE WAY WE'LL TAKE IT,
13 DIANE WINOKUR WOULD LIKE TO MAKE A COMMENT TOO.
14 WOULD YOU PREFER TO MAKE IT BEFORE OR AFTER WE HAVE
15 THE DISCUSSION OF THE APPLICATION?

16 MS. WINOKUR: AFTER.

17 MR. SHEEHY: OKAY. SO YOU'LL BE FIRST UP.

18 DR. SHEPARD: SO FOR 7320 DR. MANI VESSAL
19 IS PREPARED TO DISCUSS THAT ONE.

20 DR. VESSAL: SO THIS ONE IS FOR
21 APPLICATION 7320 ON THE EXPLORATORY CONCEPT
22 APPLICATION, WHICH IS PLANNING TO IDENTIFY KEY
23 SIGNALING PROTEINS THAT LEAD TO DORSAL SPINAL SENSOR
24 INTERNEURONS. AND THE PI HAS SHOWN SOME PRELIMINARY
25 DATA THAT HAS IDENTIFIED A SPECIFIC PROTEIN THAT

BARRISTERS' REPORTING SERVICE

1 WILL INDUCE INTERNEURONS AND WILL -- BASICALLY AN
2 INTERNEURON DERIVATION.

3 AND THEN THE PI CLAIMS TO AND PLANS TO
4 IMPLEMENT THESE PROTEINS IN THE DIFFERENTIATION OF
5 THE STEM CELLS AND THEN TO USE AS ASSAYS TO
6 REGENERATE FOR INTERNEURONS USING EMBRYONIC STEM
7 CELLS AND TO TEST THEIR FUNCTIONALITY IN THE CULTURE
8 SYSTEMS.

9 THE REVIEWERS FOUND THIS ACTUALLY A QUITE
10 INTERESTING APPLICATION, AND OVERALL THE SCORE IS
11 PRETTY GOOD. THEY DID RAISE SOME CONCERNS, HOWEVER,
12 OVER THE QUALITY OF THE PRELIMINARY DATA THAT WAS
13 PRESENTED IN THE APPLICATION. AND THEY DID POINT
14 OUT THAT THE TRANSFORMATIVE POTENTIAL FOR THIS
15 PROPOSAL LIES IN THE FACT THAT THE SENSORY NEURONS
16 ARE YET TO BE DERIVED FROM HUMAN EMBRYONIC STEM
17 CELLS. AND, IF SUCCESSFUL, IT COULD POTENTIALLY
18 HAVE A BROADER IMPLICATION TO THE FIELD.

19 THERE WERE SOME WEAKNESSES ALSO THAT WERE
20 POINTED OUT FOR THE FEASIBILITY IN THAT THEY REALLY
21 MAINLY WERE CONCERNED THAT IT LACKED TECHNICAL
22 INNOVATION IN THEIR APPROACH. AND THE FOCUS WAS
23 QUITE NARROW USING THE FAMILY OF PROTEINS THAT
24 THEY'RE USING. AND ALSO THE OTHER MAIN CRITICISM
25 THAT REALLY PUZZLED THE REVIEWERS WERE THE FACT THAT

BARRISTERS' REPORTING SERVICE

1 THIS IS BEING DONE IN SLICE WORK AND NOT IN VIVO
2 WHOLE ANIMAL AS WOULD BE THE OPTIMAL. SO THAT WAS
3 REALLY THE OTHER MAIN ISSUE WITH THIS THAT THE
4 REVIEWERS RAISED. OTHERWISE, THEY FOUND IT TO BE A
5 STRAIGHTFORWARD APPLICATION AND PRETTY WELL
6 PRESENTED.

7 NOW, IN TERMS OF THE FACT THAT WE DID NOT
8 RECOMMEND THIS APPLICATION WAS REALLY MAINLY THAT IT
9 IS IN THE EXPLORATORY TRACK; WHEREAS, THIS IS REALLY
10 A MORE MECHANISTIC STUDY AND MORE SORT OF
11 FUNDAMENTAL STUDY THAT WOULD HAVE REALLY PERHAPS
12 BEEN BETTER SUITED FOR THE FIRST ONE. HOWEVER,
13 HAVING SAID THAT, IT REALLY LACKED THE
14 GROUNDBREAKING NOVELTY THAT THIS TRACK HAS REQUESTED
15 AND THE BAR THAT HAS BEEN SET FOR IT.

16 SO, AGAIN, THAT WAS ANOTHER ISSUE THAT
17 SORT OF HAD BEEN BROUGHT UP FOR THIS APPLICATION.

18 MR. SHEEHY: THANK YOU, DR. VESSAL.

19 DIANE, DID YOU WANT TO MAKE SOME COMMENTS
20 ABOUT THIS?

21 MS. WINOKUR: YES, I DO. THANK YOU. I AM
22 THE ADVOCATE FOR ALS AND MS, AND THIS IS A PROPOSAL
23 HAVING TO DO WITH SPINAL CORD INJURY. THE THING
24 THAT IT HAS IN COMMON WITH MY CONCERNS IS THE FACT
25 THAT IN SEVERAL OF THE NEURODEGENERATIVE ILLNESSES

BARRISTERS' REPORTING SERVICE

1 LIKE ALS AND MS, THERE IS A LOSS OF SENSORY
2 FUNCTION. AND SO THIS IS ONE OF THE FIRST PROPOSALS
3 I'VE READ THAT SEEKS TO LOOK AT THAT IN NEW WAYS.
4 AND I WANTED TO POINT OUT THAT IT NOT ONLY AFFECTS
5 SPINAL CORD INJURY, BUT SEVERAL OF THE
6 NEURODEGENERATIVE ILLNESSES IN WHICH IT HAS NEVER
7 BEEN STUDIED.

8 MR. SHEEHY: THANK YOU. DR. VUORI.

9 DR. VUORI: I'D LIKE TO ASK TWO QUESTIONS.
10 MAYBE STAFF COULD ANSWER TWO QUESTIONS. WOULD YOUR
11 OPINION BE DIFFERENT IF THIS WOULD HAVE BEEN
12 SUBMITTED ACTUALLY ON THE OTHER TRACK? THAT'S
13 QUESTION NO. 1.

14 AND QUESTION NO. 2 IS HOW DID YOU DISCUSS,
15 IF AT ALL, SORT OF THE POTENTIAL PROGRAMMATIC GAP
16 THAT THERE MIGHT BE IN CIRM'S PORTFOLIO IN THIS
17 AREA?

18 DR. VESSAL: THE SECOND QUESTION WAS? I
19 MISSED YOUR SECOND QUESTION.

20 DR. VUORI: SECOND QUESTION WAS WAS THERE
21 DISCUSSION ABOUT THE POSSIBILITY THAT THIS COULD
22 ADDRESS PROGRAMMATIC AREA WHERE THERE IS A GAP
23 CURRENTLY IN THE PORTFOLIO?

24 DR. VESSAL: SO THE FIRST QUESTION, THE
25 ISSUE WITH IT FOR THE FIRST TRACK WAS THAT THE CELLS

BARRISTERS' REPORTING SERVICE

1 THAT THEY'RE USING ARE MOUSE CELLS. IN THE FIRST
2 TRACK WE HAD INDICATED VERY SPECIFICALLY HUMAN.

3 THE SECOND QUESTION -- SORRY. WHAT WAS
4 THE QUESTION AGAIN? THE CLAIM THAT -- THERE'S
5 ACTUALLY A CLAIM THAT WE DON'T HAVE ANY
6 REPRESENTATION OF THE SORT OF INHIBITORY NEURONS AND
7 SENSORY NEURONS IN THE SPINAL CORD. WE ACTUALLY DO
8 HAVE SOME REPRESENTATION OF IT ALBEIT NOT IN BASIC
9 BIOLOGY. WE DO HAVE IT IN SEED AND WE ALSO HAVE IT
10 IN TRANSLATIONAL TEAMS, BUT THERE IS ONE THAT'S
11 PERIPHERAL IN MUSCULAR ATROPHY THAT IS IN BASIC
12 BIOLOGY PORTFOLIO, BUT THAT COULD INFORM THIS
13 SYSTEM. SO FROM A PROGRAMMATIC, AGAIN, IT'S NOT
14 THAT WE HAVE NOTHING GOING ON RIGHT NOW.

15 MR. SHEEHY: DR. VESSAL, HOW MUCH DO -- DO
16 WE HAVE VERY MUCH IN SPINAL CORD INJURY AS A WHOLE
17 AT THIS POINT?

18 DR. VESSAL: WE DO. ACTUALLY IT'S PRETTY
19 WELL REPRESENTED ALL ACROSS OUR PORTFOLIO FROM SORT
20 OF THE BASIC SIDE AND TO THE TRANSLATIONAL SIDE.
21 AND WE -- SO YES IN A SHORT. WE DO HAVE A FAIR
22 REPRESENTATION OF IT BASED ON THE APPLICATIONS THAT
23 HAVE COME IN AT LEAST.

24 MR. SHEEHY: ARE THERE OTHER BOARD
25 COMMENTS? DR. TROUNSON.

BARRISTERS' REPORTING SERVICE

1 DR. TROUNSON: WELL, JUST TO BE FAIR, I'M
2 FOR WHAT THE STAFF RECOMMENDATION IS HERE. BUT THE
3 SENSORY NEURONS IN THE SPINAL CORD ARE NOT SOMETHING
4 THAT WE'VE CONCENTRATED A LOT ON. IT'S MORE ABOUT
5 MOTOR NEURONS AND ACTUALLY MAKING MOTOR NEURONS
6 FUNCTION BETTER. THERE'S ALWAYS A RISK, OF COURSE,
7 WHEN YOU GET INTO SENSORY NEURONS BECAUSE THAT'S
8 WHERE PAIN IS. WHATEVER YOU'RE GOING TO DO THERE IS
9 A PRETTY RISKY BUSINESS. BUT THE ANCILLARY PART OF
10 THAT IS IN PAIN DISEASE. IF YOU UNDERSTOOD IT
11 BETTER, IT MIGHT LEAD YOU TO DOING THINGS RATHER
12 DIFFERENTLY.

13 SO I DO THINK IT IS A VERY INTERESTING
14 AREA. THIS IS A YOUNG SCIENTIST WHO'S BEING
15 MENTORED BY MORE SENIOR ONES. SO I KIND OF LIKE
16 THAT. AS YOU KNOW, JEFF, I LIKE THAT SORT OF THING.
17 SO I'M IN SUPPORT OF THE STAFF. I JUST WANTED TO BE
18 FAIR ACROSS MY SORT OF EDGY FEELINGS HERE THAT IT'S
19 NOT A BAD PROJECT, THAT I DIDN'T SEE IT AS MAYBE SO
20 POTENT AS THE OTHER THREE, BUT IT'S GOT MERIT. IT
21 DOES HAVE MERIT.

22 MR. SHEEHY: THANK YOU.

23 DR. PRIETO: IF I COULD JUST RESPOND TO
24 THAT CLINICALLY, I THINK IT'S A VERY GOOD POINT.
25 HAVING APPROPRIATE SENSORY INPUT IS WHAT ALLOWS YOU

BARRISTERS' REPORTING SERVICE

1 TO USE YOUR MOTOR NEURONS CORRECTLY.

2 MR. SHEEHY: DO WE HAVE ADDITIONAL BOARD
3 COMMENT?

4 MR. TORRES: I WOULD JUST LIKE TO ADVISE
5 THE BOARD MEMBERS, IF THEY HAVEN'T SEEN IT ALREADY,
6 THE MEDIAN SCORE WAS 75, ONLY TWO POINTS OFF OF WHAT
7 WAS FUNDED INITIALLY. SO I THINK, GIVEN THE NATURE
8 OF THE PROGRAMMATIC DECISION, AS WELL AS THE REVIEW
9 NUMBERS, I THINK WE'D BE SAFE TO SUPPORT THIS AND TO
10 MOVE IT FORWARD. BUT I'LL WAIT TO HEAR PUBLIC
11 COMMENT.

12 MR. SHEEHY: I'D ALSO NOTE THAT THEIR HIGH
13 SCORE WAS -- THE HIGHEST SCORE WAS HIGHER THAN THREE
14 APPLICATIONS WE'VE ALREADY APPROVED.

15 DO WE HAVE PUBLIC COMMENT?

16 MR. REED: I'M DON REED. AS YOU KNOW, MY
17 SON, ROMAN REED, IS PARALYZED. AND ONE OF THE BIG
18 PROBLEMS A PARALYZED PERSON HAS IS THE INABILITY TO
19 USE THEIR SKIN SENSORS. MY SON HAS A TERRIBLE WOUND
20 ON HIS RIGHT KNEE WHERE HE BUMPED UP AGAINST
21 SOMETHING HOT AND DIDN'T KNOW IT UNTIL SOMEONE
22 POINTED OUT THAT YOUR CLOTHING WAS ON FIRE. A
23 PERSON WHO HAS DESCRIBED WHAT IT'S LIKE TO BE
24 PARALYZED SAYS IMAGINE GOING TO THE DENTIST AND YOU
25 GET NOVOCAIN IN YOUR JAW AND YOU'RE NUMB AND YOU

BARRISTERS' REPORTING SERVICE

1 CAN'T FEEL ANYTHING. IMAGINE IF YOUR WHOLE BODY WAS
2 LIKE THAT PERMANENTLY. YOU CANNOT HUG YOUR CHILD
3 THE WAY YOU AND I DO. THIS IS A HUGE THING.

4 ALSO, I WOULD STRONGLY DISAGREE THAT THIS
5 IS NOT UNIQUE. THIS IS VERY UNIQUE. I KNOW OF NO
6 OTHER PROJECT WHICH IS SPECIFICALLY TARGETING THE
7 SENSORY PROCESS. WE HAVE TO BRING IT BACK
8 CAREFULLY. ONE OF THE PROJECTS THAT ROMAN REED
9 SPONSORED WAS AN ATTEMPT TO USE ADULT STEM CELLS TO
10 TURN THEM INTO MOTOR NEURONS. SO IT WAS PUT IN THE
11 MOUSE, AND IT WAS A DUPLICATION OF ANOTHER PERSON'S
12 PROJECT. AND EVERYTHING LOOKED GOOD FOR FIVE DAYS
13 AND THEN WENT BACK, AND THE MOUSE WENT INTO TERRIBLE
14 AGONY AND THEY WERE GNAWING OFF THEIR LIMBS. THEY
15 HAD TO BE PUT DOWN.

16 SO WHEN WE COME TO TRY AND MAKE CURES FOR
17 PARALYSIS, WE HAVE TO DEAL STRONGLY WITH THE SENSORY
18 ISSUE. AND THAT'S EXACTLY WHAT THIS PROGRAM, THIS
19 PROJECT DOES. I STRONGLY RECOMMEND WE DO IT.

20 MR. TORRES: MR. REED.

21 MR. REED: YES, SIR.

22 MR. TORRES: THANK YOU FOR YOUR COMMENTS.
23 AND THANK YOU AGAIN FOR THE COURAGE THAT YOUR SON
24 HAS BEEN USING IN HIS ADVOCACY ACROSS THE COUNTRY
25 FOR SPINAL CORD INJURY. AND I'M SO PROUD THAT MAYBE

BARRISTERS' REPORTING SERVICE

1 SOMEDAY HE'LL BE IN THE STATE SENATE BECAUSE I KNOW
2 HE'S RUNNING THIS YEAR.

3 MR. REED: THANK YOU, SIR.

4 DR. BUTLER: MY NAME IS SAMANTHA BUTLER,
5 AND I'M THE PI ON THIS GRANT. AND I'D BE HAPPY TO
6 ANSWER ANY QUESTIONS THAT THE BOARD HAS ON THE
7 GRANT. BUT I WANTED JUST TO REITERATE WHAT MR. REED
8 HAS SAID.

9 PEOPLE WITH DAMAGED SPINAL CORDS LOSE BOTH
10 MOTOR AND SENSORY FUNCTION. MOTOR FUNCTION IS
11 NEEDED TO BE ABLE TO MOVE. EQUALLY IMPORTANT IS
12 SENSORY FUNCTION WHICH ENABLES US TO SENSE THE
13 ENVIRONMENT AND BOTH ENJOY IT, SAY, FROM THE TOUCH
14 OF ANOTHER PERSON, AND KEEP THE BODY FROM GETTING
15 DAMAGED BY, SAY, TOUCHING A HOT SURFACE. AS MR.
16 REED HAS SPOKEN, IT IS A COMMON PROBLEM OF PARALYZED
17 PATIENTS THAT THEY GET THIRD-DEGREE BURNS BECAUSE
18 THEY WERE NOT AWARE THAT THEY WERE IN DANGER.

19 BOTH OF THESE FUNCTIONS MUST BE RESTORED
20 TO PATIENTS AFTER INJURY. AN IMPORTANT PROGRESS,
21 INDEED, HAS BEEN MADE IN RESTORING MOTOR CIRCUITS
22 FROM STEM CELL-DERIVED MOTOR NEURONS; HOWEVER, VERY
23 LITTLE PROGRESS HAS YET BEEN MADE REGENERATING
24 SENSORY CIRCUITS WHICH WOULD ALLOW PATIENTS TO
25 EXPERIENCE THE ENVIRONMENT.

BARRISTERS' REPORTING SERVICE

1 THIS GRANT REPRESENTS THE CRITICAL FIRST
2 STEP TOWARDS THE GOAL, THAT GOAL. WE ARE LOOKING TO
3 IDENTIFY A PROTOCOL BY WHICH SENSORY NEURONS CAN BE
4 DERIVED FROM EMBRYONIC STEM CELLS AND THEN TESTING
5 THEM FOR THEIR FUNCTIONALITY IN THE SPINAL CORD.

6 I'D LIKE TO JUST ADDRESS ONE OF THE
7 REVIEWER'S CRITICISMS, WHICH IS THAT WE CHOSE TO USE
8 SLICE CULTURE. THE REASON WE CHOSE TO USE SLICE
9 CULTURE IS BECAUSE THIS IS AN EXPLORATORY TWO-YEAR
10 GRANT. WE MENTIONED IN THE GRANT THAT WHEN WE
11 TRANSPLANT, WE HAVE MANY CONDITIONS THAT WE HAVE TO
12 TEST. WHEN WE TRANSPLANT THEM INTO SLICES, WE WILL
13 GET A SENSE OF WHETHER THEY'RE FUNCTIONAL SO THAT WE
14 CAN THEN MOVE AHEAD IN A TIMELY MANNER INTO THE
15 TRANSPLANTATION IN RODENT MODELS AND FROM THERE INTO
16 PATIENTS. THAT IS VERY MUCH OUR GOAL. IT'S ONLY
17 THE SHORT TIMELINE OF THIS GRANT THAT PREVENTED US
18 FROM EXPLICITLY SUGGESTING THAT.

19 I'D JUST LIKE TO TOUCH ON THIS PROPOSAL'S
20 TRANSFORMATIVE POTENTIAL. AGAIN, IT'S THE FIRST
21 STEP THAT WOULD LET PATIENTS BE ABLE TO AVOID
22 PAINFUL STIMULI AND BE ABLE TO TOUCH THEIR CHILD OR
23 SPOUSE AFTER YEARS OF BEING UNABLE TO DO SO. IT
24 WOULD ALSO, I WOULD STRONGLY ARGUE, TEST A NEW
25 PARADIGM IN DEVELOPMENTAL BIOLOGY FOR HOW THE

BARRISTERS' REPORTING SERVICE

1 PROTEINS THAT I'M INTERESTED IN, THE BONE
2 MORPHOGENETIC PROTEINS, MAY PATTERN TISSUE. THEY
3 ARE WIDELY -- THE BMP'S, AS WE'VE HAD IN A NUMBER OF
4 GRANTS, ARE WIDELY USED TO DIRECT STEM CELLS TOWARDS
5 DIFFERENT FATES; THUS, IF OUR MECHANISM, THE MODEL
6 THAT WE ARE PROPOSING THAT THEY HAVE HIGHLY DIVERSE
7 ACTIVITIES, THEY'RE NOT FUNCTIONALLY REDUNDANT,
8 THESE STUDIES WILL HAVE IMPLICATIONS FOR RESEARCHERS
9 WORKING ACROSS STEM CELL BIOLOGY.

10 AND I'D ALSO JUST LIKE TO FINISH BY
11 REITERATING WHAT MR. REED SAID, THAT I POLITELY
12 DISAGREE THAT SPINAL CORD INJURY -- THE GRANTS
13 LOOKING AT THE RECOVERY OF SENSORY FUNCTION ARE WELL
14 REPRESENTED IN THE CIRM PORTFOLIO, THIS AREA ON THE
15 RECOVERY OF SENSORY FUNCTION AFTER INJURY HAS BEEN
16 WELL FUNDED. IT'S NEVER BEEN FUNDED, FOR EXAMPLE,
17 IN THIS BASIC BIOLOGY MECHANISM OF WHICH, IF I MAY
18 SAY, THERE ARE ONLY FOUR GRANTS IN TOTAL LOOKING AT
19 ANY ASPECT OF SPINAL CORD RECOVERY. THANK YOU.

20 MR. SHEEHY: THANK YOU, DR. BUTLER.

21 DR. BUTLER: ANY QUESTIONS?

22 MR. SHEEHY: ANY QUESTIONS FOR DR. BUTLER
23 FROM BOARD MEMBERS? THANK YOU. ADDITIONAL PUBLIC
24 COMMENT? DR. DULIEGE.

25 DR. DULIEGE: I WAS GOING TO MAKE A

BARRISTERS' REPORTING SERVICE

1 MOTION.

2 MR. SHEEHY: WE HAVE A MOTION. ARE WE
3 PREPARED TO VOTE THEN? THE ROLL CALL, PLEASE.

4 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

5 DR. DULIEGE: THE MOTION IS TO APPROVE
6 THAT FOR FUNDING, AND THE ANSWER FOR ME IS YES.

7 MS. BONNEVILLE: MARCY FEIT.

8 MS. FEIT: YES.

9 MS. BONNEVILLE: STEVE JUELSGAARD.

10 DR. JUELSGAARD: AYE.

11 MS. BONNEVILLE: LAUREN MILLER.

12 MS. MILLER: YES.

13 MS. BONNEVILLE: JOE PANETTA.

14 MR. PANETTA: AYE.

15 MS. BONNEVILLE: FRANCISCO PRIETO.

16 DR. PRIETO: AYE.

17 MS. BONNEVILLE: ROBERT QUINT.

18 DR. QUINT: YES.

19 MS. BONNEVILLE: JEFF SHEEHY.

20 MR. SHEEHY: YES.

21 MS. BONNEVILLE: JONATHAN THOMAS.

22 CHAIRMAN THOMAS: YES.

23 MS. BONNEVILLE: ART TORRES.

24 MR. TORRES: AYE.

25 MS. BONNEVILLE: DIANE WINOKUR.

BARRISTERS' REPORTING SERVICE

1 MS. WINOKUR: YES.

2 MR. HARRISON: MOTION CARRIES ELEVEN TO
3 ZERO.

4 DR. BUTLER: I WOULD LIKE TO THANK THE
5 BOARD TREMENDOUSLY FOR THEIR SUPPORT.

6 MR. SHEEHY: THANK YOU, DR. BUTLER.

7 SO WE ONLY HAVE TWO OTHER GRANTS IN THIS
8 AREA. DO WE HAVE ANY MOTIONS ON THE REMAINING TWO?
9 DR. PRIETO.

10 DR. PRIETO: I'D LIKE TO MOVE APPLICATION
11 7398 TO RECOMMEND THAT THAT BE MOVED INTO TIER I.

12 MS. FEIT: SECOND.

13 MR. SHEEHY: WE HAVE A SECOND FROM DR.
14 FEIT.

15 DR. PRIETO: AND I CAN DISCUSS THE
16 PROGRAMMATIC REASONS.

17 MR. SHEEHY: YES.

18 DR. PRIETO: SO WHILE WE HAVE A FAIR
19 AMOUNT OF INVESTMENT IN THE AREA OF DIABETES, THIS
20 HAS BEEN CONCENTRATED ON OUR DISEASE TEAM AND THE
21 ONE APPROACH THAT THEY ARE USING TOWARD A CELLULAR
22 THERAPY FOR TYPE 1 DIABETES. THIS GRANT IS USING A
23 DIFFERENT APPROACH AND ADDRESSES ONE OF THE MAJOR
24 BOTTLENECKS IN THAT FORM -- IN THE POTENTIAL FOR
25 THAT KIND OF A TREATMENT, WHICH IS THE SUPPLY OF

BARRISTERS' REPORTING SERVICE

1 PANCREATIC CELLS THAT WOULD BE GLUCOSE SENSITIVE AND
2 GLUCOSE RESPONSIVE AND TREAT THE DISEASE.

3 IF YOU'RE FAMILIAR WITH THE DISEASE TEAM'S
4 WORK, ONE OF THE ISSUES THAT'S GOING TO COME UP AS
5 THEY MOVE INTO CLINICAL TRIALS WILL BE DOSING AND
6 DOSE RESPONSE. WE KNOW THAT YOU DO NOT NEED 100
7 PERCENT OF YOUR PANCREATIC FUNCTION IN ORDER TO
8 CONTROL THIS DISEASE, BUT YOU NEED A CERTAIN
9 PERCENTAGE OF IT. THEY ARE WORKING WITH, THAT IS,
10 OUR DISEASE TEAM, IS WORKING WITH A SMALL DEVICE
11 WITHIN WHICH CELLS WILL BE ENCAPSULATED WHICH WILL
12 HAVE A FINITE CAPACITY IN TERMS OF HOW MANY MILLION
13 CELLS WILL BE ABLE TO BE PUT INTO THAT.

14 WITHIN THE MOUSE MODEL THAT'S NOT AN ISSUE
15 PROBABLY BECAUSE OF THE MOUSE LIFE SPAN; BUT
16 PRIMARILY BECAUSE OF THE SIZE OF THE ANIMAL,
17 PROVIDING ENOUGH PANCREATIC CELLS TO CONTROL THE
18 DISEASE IS NOT MUCH OF AN ISSUE. IN THE HUMAN THAT
19 IS POTENTIALLY GOING TO BE A MAJOR ISSUE. SO THIS
20 WOULD ALLOW ANOTHER APPROACH TO THAT PROBLEM.

21 MR. SHEEHY: THANK YOU, DR. PRIETO. IT'S
22 VERY SOUND PROGRAMMATIC REASON TO ADVANCE THIS, I
23 THINK. AND I DO NOTE THAT THE MOTION WAS MADE BY
24 AND SECONDED BY OUR PATIENT ADVOCATE FOR TYPE 1
25 DIABETES AND THEN SECONDED, DESPITE OUR PATIENT

BARRISTERS' REPORTING SERVICE

1 ADVOCATE, FOR TYPE 2 DIABETES. SO I THINK PURELY ON
2 A PROGRAMMATIC POINT OF VIEW, I THINK THAT AT LEAST
3 THE ADVOCATES FROM THAT AREA CLEARLY THINK THAT THIS
4 IS IMPORTANT.

5 DO WE HAVE OTHER COMMENTS FROM BOARD
6 MEMBERS ABOUT THIS?

7 DR. DULIEGE: I COMPLETELY AGREE WITH YOU.
8 I WOULD LIKE TO ASK AGAIN, I'M NOT ONLY THINKING
9 ABOUT THE IMPORTANCE, AND DEFINITELY TOTALLY
10 RESPECTING YOUR VIEW AS PATIENT ADVOCATES, BUT FROM
11 A SCIENTIFIC STANDPOINT, IS THERE EITHER A LACK OF
12 POTENTIAL QUALITY OR MERIT? WHERE IS THE SCIENCE?
13 OR THAT IS NOT A PROBLEM, IN WHICH CASE WE'LL LIKELY
14 APPROVE IT.

15 DR. SHEPARD: ONCE AGAIN, I'LL REFER TO MY
16 COLLEAGUE, DR. RAHUL THAKAR, TO EXPLAIN THE
17 SCIENTIFIC REVIEW.

18 DR. THAKAR: HELLO. LET ME GIVE A BRIEF
19 OVERVIEW OF THIS APPLICATION. IN THIS EXPLORATORY
20 CONCEPTS TRACK PROPOSAL, THE APPLICANT INTENDS TO
21 ENGINEER AN IN VITRO EXTRACELLULAR MATRIX-BASED
22 CULTURE SYSTEM WHICH CAN REGULATE LINKAGE
23 DIFFERENTIATION IN ADULT HUMAN PANCREATIC PROGENITOR
24 CELLS FROM THE PANCREAS. THESE CELLS HAVE THE
25 POTENTIAL TO PROVIDE A SOURCE FOR ALLOGENEIC ISLET

BARRISTERS' REPORTING SERVICE

1 TRANSPLANTATION.

2 THE FIRST AIM WILL TEST WHETHER THE
3 ARTIFICIAL EXTRACELLULAR MATRIX, THE ECM, ECM
4 PROTEINS WILL DIRECT DIFFERENTIATION OF ADULT HUMAN
5 PANCREATIC PROGENITOR CELLS INTO BETA-LIKE CELLS.

6 THE SECOND AIM SEEKS TO OPTIMIZE PHYSICAL
7 PROPERTIES OF MATRICES TO ENHANCE THE BETA CELL
8 DIFFERENTIATION AND SUBSEQUENT CELL HARVEST.

9 ON THE CRITERION OF SIGNIFICANCE AND
10 INNOVATION, THE HYPOTHESIS IS NOT NOVEL. THIS
11 APPLICATION IS UNIQUE IN THAT IT PROPOSES TO
12 IDENTIFY PUTATIVE HUMAN PANCREATIC PROGENITOR CELLS.
13 THE PROPOSED ENGINEERED ARTIFICIAL ECM CULTURE
14 SYSTEM IS NOVEL. THE ROLE OF THE ECM IN CELL
15 DIFFERENTIATION IS POORLY UNDERSTOOD, AND THIS STUDY
16 OUTLINES THIS PROPOSAL AND UTILIZES A VERY PROMISING
17 APPROACH. IF SUCCESSFUL, THIS COULD LEAD TO
18 CELL-BASED THERAPY TO TREAT DIABETES.

19 NOW, UNDER THE CRITERION OF FEASIBILITY IN
20 EXPERIMENTAL DESIGNS, THERE WERE A NUMBER OF
21 CRITIQUES. ONE WAS THE REVIEWERS WANTED BETTER
22 EVIDENCE THAT THIS POPULATION OF CELLS WAS INDEED
23 CLONAL. THE APPLICANT MAKES REFERENCE TO IT. THE
24 REVIEWERS JUST WANTED MORE.

25 THE REVIEWERS ALSO CRITICIZED THAT THE

BARRISTERS' REPORTING SERVICE

1 ASSAYS PROPOSED AT THE END OF THE APPLICATION ARE
2 NOT ROBUST AND DO NOT ADEQUATELY TEST THE CELL
3 POPULATION'S FUNCTIONALITY. THIS WAS CONSIDERED TO
4 BE A FLAW. THE BETA CELLS THAT ARISE FROM THE
5 PROGENITOR CELLS DID NOT APPEAR TO BE AS FUNCTIONAL
6 AS THOSE THAT HAVE ARISEN FROM PLURIPOTENT CELLS.
7 ON THE OTHER HAND, THE APPLICANT PRESENTS EXTENSIVE
8 PRELIMINARY DATA SUGGESTING THE EXISTENCE OF THIS
9 PUTATIVE -- THIS PROPOSED POPULATION OF CELLS IN
10 MICE. AND ALSO THERE'S PRELIMINARY STUDIES USING
11 HUMAN CELLS TO DEMONSTRATE SOME DIFFERENTIATION INTO
12 INSULIN-EXPRESSING CELLS. SO IT'S A TRACK 2 AND THE
13 REVIEWERS WERE ESSENTIALLY JUST LOOKING FOR MORE.

14 REVIEWERS PRAISED THE BIOENGINEERING
15 COMPONENT OF THIS APPLICATION AND CONSIDERED IT A
16 STRENGTH. AS FOR THE PI AND THE TEAM, THE PI IS
17 CONSIDERED TO BE AN OUTSTANDING BIOENGINEER, AND HE
18 OR SHE HAS AN EXPERTISE IN MODIFYING POLYMERS AND
19 HAS BEEN STUDYING ARTIFICIAL ECM FOR OVER A DECADE.
20 THE PI AND THE RESEARCH TEAM AS A WHOLE HAVE
21 COMPLEMENTARY SKILLS. THEIR SKILLS COMPLEMENT EACH
22 OTHER AND ARE NECESSARY AND SUFFICIENT TO CARRY OUT
23 THE STUDY.

24 DR. DULIEGE: THANK YOU. VERY USEFUL.
25 THANK YOU.

BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: CAN I ASK A QUESTION? SO THE
2 REVIEWERS WANTED MORE. THERE WASN'T THE REQUIREMENT
3 FOR PRELIMINARY DATA IN THIS.

4 DR. VESSAL: THAT IS CORRECT. AND I CAN
5 COVER THE NUANCES IN GREATER DETAIL IF YOU NEED IN
6 CLOSED SESSION.

7 MR. SHEEHY: I JUST WONDER HOW HEAVILY WE
8 SHOULD WEIGHT -- THIS IS KIND OF THE PLACE WHERE
9 TRANSFORMATIVE -- THE TECHNOLOGY DOES SEEM LIKE IT
10 COULD BE POTENTIALLY TRANSFORMATIVE. DR. TROUNSON.

11 DR. TROUNSON: SO ONE OF THE THINGS IN
12 THIS AREA I'M A LITTLE CONCERNED ABOUT IS THAT DOUG
13 MELTON AT HARVARD REALLY HAS GOT A SYSTEM -- A
14 FACTOR THAT CAN ACTUALLY EXPAND PANCREATIC,
15 FUNCTIONAL PANCREATIC CELLS. AND THAT'S NOT IN
16 HERE. AND I THINK THAT'S THE REALLY TRANSFORMATIVE
17 STEP THAT'S HAPPENING IN THE FIELD DOWN AT THAT
18 LEVEL. SO I THINK THAT THAT WILL DEFINITELY
19 OVERTAKE THIS APPROACH. AND SO THAT'S ONE REASON
20 WHY I THINK THE TRANSFORMATIVE PART IS PROBABLY NOT
21 HERE AND IS SOMEWHERE ELSE BECAUSE IT'S LIKELY TO BE
22 REALLY EFFECTIVE IN THE FIELD.

23 AND I PRESENTED THAT DATA SOME TIME AGO
24 HERE. IT IS PRETTY PERSUASIVE, AND HE CLAIMS IT'S
25 THE -- MELTON CLAIMS IT'S THE BIGGEST DEVELOPMENT

BARRISTERS' REPORTING SERVICE

1 THAT HE'S REALLY EVER HAD. AND I THINK IT IS IN A
2 TRANSFORMATIVE STATE. SO MY PROBLEM HERE IS THAT I
3 JUST THINK THIS ONE IS NOT GOING -- THIS ONE IS
4 GOING TO BE SO MUCH IN THE SHADOW OF THE
5 TRANSFORMATION THAT'S HAPPENING WITH THE WORK AT
6 HARVARD. AND I JUST BRING THAT TO YOU AS SOMETHING
7 TO CONSIDER.

8 MR. SHEEHY: WHAT CELL TYPE IS HE WORKING
9 IN AT HARVARD?

10 DR. TROUNSON: SORRY?

11 MR. SHEEHY: IS HE WORKING IN PLURIPOTENT
12 CELLS?

13 DR. TROUNSON: NO. HE ACTUALLY CAN EXPAND
14 THE PANCREATIC CELLS THEMSELVES, JEFF, WHICH NOBODY
15 HAS EVER BEEN ABLE TO DO THAT. AND THEY REALLY
16 DIDN'T UNDERSTAND WHERE THOSE EXPANDABLE CELLS COME
17 FROM, BUT HE'S BEEN ABLE TO DO THAT. WHAT I REALLY
18 HAVEN'T SEEN YET IS REALLY STRONG EVIDENCE THAT THAT
19 FACTOR IS WORKING TO THE SAME EXTENT IN THE HUMAN AS
20 IT DID IN THE MOUSE. BUT I UNDERSTAND IT DOES. SO,
21 YEAH, I'M JUST GIVING YOU THAT INFORMATION JUST TO
22 HELP YOU WITH THE TRANSFORMATIVE PART RATHER THAN
23 ANYTHING ELSE.

24 DR. VUORI: IS IT TRANSDIFFERENTIATION?

25 DR. TROUNSON: NO. IT SEEMS TO BE

BARRISTERS' REPORTING SERVICE

1 MULTIPLYING A SUBSET OF THE CELLS THAT ARE THERE.
2 SO AS FAR AS I KNOW, IT IS A POPULATION THAT'S
3 EXPANDABLE AND EXPANDS QUITE DRAMATICALLY UNDER
4 THESE CIRCUMSTANCES. THE MOUSE WORK WAS REALLY
5 PRETTY EMPHATIC, AND I'M STILL SORT OF WAITING FOR
6 THE BOLUS OF THE HUMAN WORK TO COME FORWARD. BUT I
7 KNOW THERE'S BEEN MAJOR INTEREST FROM J & J IN THIS
8 AREA, AND I THINK THEY'RE WORKING WITH HIM TO SORT
9 OF TAKE THIS WHOLE AREA FORWARD. SO IT'S JUST FOR
10 INFORMATION JUST TO REMIND YOU OF SOME STUFF THAT
11 HAPPENED.

12 DR. PRIETO: THAT SOUNDS A LITTLE LIKE
13 SOME OF THE QUESTIONS RAISED BY AT LEAST ONE OF THE
14 REVIEWERS OF WHETHER OR NOT THERE ARE THESE STEM
15 CELLS IN ADULT PANCREAS. BUT IT SEEMS TO ME THAT
16 FROM DIFFERENT AREAS WE'RE GETTING THE INFORMATION
17 THAT, YES, IN FACT, THERE ARE. IT'S SORT OF LIKE
18 WHAT WE'RE SEEING IN THE WHOLE FIELD. THERE ARE
19 STEM CELLS EVERYWHERE ONCE YOU START LOOKING.

20 DR. TROUNSON: I THINK THAT'S RIGHT,
21 FRANCISCO. SOMETIMES YOU SAY IN THE HEART THERE'S
22 NO CELLS, AND THE EVIDENCE IS THE HEART DOESN'T
23 REALLY REPAIR ITSELF MUCH. BUT I THINK IT'S A
24 MATTER OF BEING ABLE TO FIND THOSE SITUATIONS. YOU
25 KNOW, NOW WE SAID THAT CELLS COULDN'T REALLY

BARRISTERS' REPORTING SERVICE

1 TRANSDIFFERENTIATE. CLEARLY THEY'RE ABLE TO DO
2 THAT. WE JUST HAVE TO UNDERSTAND THAT. AND SO I
3 THINK IT IS REALLY DOWN IN THIS BASIC LEVEL THAT
4 THESE THINGS ARE STARTING TO HELP US UNDERSTAND.

5 SO THERE ARE, THERE CLEARLY ARE ABILITIES
6 TO MULTIPLY PANCREATIC CELLS THAT WE NEVER HAD
7 BEFORE. I THINK YOU WILL FIND THAT IN THE HEART.
8 YOU CAN FIND IT PROBABLY IN MOST TISSUES. AND WE
9 KNOW THAT THEY DO OVERTURN -- TURN OVER AT A CERTAIN
10 RATE. WE'VE JUST GOT TO FIND THE CONDITIONS THAT
11 CAN ENABLE THEM TO TURN OVER AT A MUCH FASTER WAY.

12 DR. PRIETO: IT SEEMS TO ME THE QUESTION
13 THAT THEY'RE LOOKING AT HERE IS CAN THEY USE THESE
14 EXTRACELLULAR MATRICES THAT THEY'RE CREATING TO
15 CONTROL THAT DIFFERENTIATION. IF YOU CAN, THEN
16 OBVIOUSLY THAT'S A BIG ADVANCE.

17 MR. SHEEHY: DO WE HAVE ADDITIONAL -- I
18 THINK THIS IS REALLY A CALL FOR US. DO WE HAVE
19 PUBLIC COMMENT ON THIS?

20 DR. CHIU: MEMBERS OF THE BOARD, MEMBERS
21 OF CIRM, AND MEMBERS OF THE PUBLIC, I'M ARLENE CHIU
22 FROM THE CITY OF HOPE. AND THIS APPLICATION IS NOT
23 FROM THE CITY OF HOPE. I WANT TO SPEAK IN SUPPORT
24 OF IT. AT FIRST I THOUGHT I WAS COMING HERE TO
25 SPEAK ON PROGRAMMATIC GROUNDS, BUT I CAN SEE THAT ON

BARRISTERS' REPORTING SERVICE

1 SCIENTIFIC GROUNDS THERE IS SOME ROOM FOR DEBATE AS
2 WELL.

3 SO FIRST ON PROGRAMMATIC GROUNDS. THE TWO
4 INVESTIGATORS ARE NOT FUNDED BY CIRM OR AT LEAST THE
5 PRINCIPAL INVESTIGATOR AND THEIR TEAM HAVE NEVER
6 BEEN SUPPORTED BY CIRM. THEY'RE FROM CALTECH, WHICH
7 IS ONE OF THE PREMIERE INSTITUTIONS IN THE WORLD AND
8 CERTAINLY IN CALIFORNIA. IT WOULD BE WONDERFUL TO
9 GET SOME OF THEIR EXPERTISE TO WORK ON STEM CELL
10 BIOLOGY.

11 AS HAS BEEN MENTIONED BEFORE, THE PI IS AN
12 EXPERT IN THE FIELD OF ARTIFICIAL MATRICES. AND
13 THAT IS WHERE THE ELEMENT OF NOVELTY RESIDES TO A
14 LARGE PART, ALTHOUGH NOT SOLELY. HE IS THE DIRECTOR
15 OF THE BECKMAN INSTITUTE AND AN EMINENT CHAIRED
16 PROFESSOR AT CALTECH. AND HE HAS BECOME VERY
17 INTERESTED IN A COLLABORATION WITH A MORE JUNIOR
18 SCIENTIST ON THE USE OF EXTRACELLULAR MATRICES IN
19 PROMOTING DIFFERENTIATION AND PROGENITOR WORK.

20 THE OTHER MEMBERS OF THE TEAM ARE INDEED
21 LOOKING AT THE PROBLEM THAT DR. TROUNSON HAS
22 MENTIONED, AND GREAT ADVANCES HAVE BEEN MADE BY THE
23 MELTON GROUP AT HARVARD. THIS IS DIFFERENT FROM
24 USING EMBRYONIC STEM CELLS AS A STARTING MATERIAL.
25 BUT AS YOU CAN SEE, THE FIELD IS A LITTLE BIT MURKY

BARRISTERS' REPORTING SERVICE

1 IN THAT WHAT ARE THE EXACT CELLS THAT ARE PRODUCING
2 THESE NEW CELLS COMING FROM ENDOGENOUS TO THE ORGAN.
3 AND THIS GROUP HAS FOUND THAT THERE ARE INDEED --
4 THEY'VE BEEN ABLE TO HARVEST THESE PROGENITOR TISSUE
5 STEM CELLS, HOWEVER YOU LIKE TO CALL THEM, AND THEN
6 TO PROMOTE THEIR DIFFERENTIATION. AND, IN FACT, ONE
7 OF THE INVESTIGATORS AT THE SAME TIME IS ALSO
8 LOOKING AT EMBRYONIC STEM CELLS GOING ALONG THE SAME
9 PATHWAY. SO YOU HAVE A NICE JUXTAPOSITION WITHOUT
10 ANY PRECONCEIVED IDEAS OF HOW TO MOVE THIS FIELD
11 FORWARD OF GETTING AN END PRODUCT.

12 THE OTHER ADVANTAGE IN USING HUMAN
13 CADAVERIC TISSUE IS, OF COURSE, WE KNOW THAT THE
14 EDMONTON PROTOCOL, WHICH IS USED NOW TO TREAT
15 PATIENTS, USES CADAVERIC TISSUE, BUT THERE JUST
16 ISN'T ENOUGH CADAVERIC TISSUE FOR ALL THE
17 TRANSPLANTS THAT ARE NEEDED. AND SO BECAUSE THEY
18 CAN SHAKE OUT WHAT'S LEFT IN CADAVERIC TISSUE TO
19 START THESE STUDIES, IT'S A BIG STEP FORWARD IN THAT
20 THE FDA, WHO HAVE ALREADY APPROVED THE EDMONTON
21 PROTOCOL, WOULD HAVE A LOWER BAR IN APPROVING THIS
22 SHOULD IT MOVE FORWARD TO BE TRANSPLANTATION. SO
23 IT'S A STEP CLOSER TO THE PATIENT IF YOU WANT TO
24 LOOK AT IT THAT WAY.

25 SO WHAT ABOUT PROGRAMMATIC, STRICTLY

BARRISTERS' REPORTING SERVICE

1 PORTFOLIO? I TOOK A QUICK LOOK AT THE PORTFOLIO,
2 AND I BELIEVE CIRM HAS COMMITTED ABOUT 40-ISH, \$40
3 MILLION TO DIABETES OUT OF ALL THE GRANTS THAT HAVE
4 BEEN FUNDED. OF THIS 40 MILLION, ABOUT 39 MILLION
5 HAS BEEN FUNDING FULL GRANTS FROM VIACYTE, WHICH IS
6 WONDERFUL BECAUSE WE'VE GOTTEN BUY-IN FROM INDUSTRY,
7 BUT ONLY ABOUT ONE PLUS MILLION IS TO ACADEMIC
8 INVESTIGATORS, TWO INVESTIGATORS FROM UC SAN DIEGO.
9 I THINK PROGRAMMATICALLY, IT MIGHT BE A GOOD IDEA TO
10 INVEST A LITTLE BIT MORE IN PRIMARY INVESTIGATIONS
11 FROM ACADEMIA SO THAT YOU CAN ENRICH THE FIELD. AND
12 THERE MIGHT BE CROSSCUTTING BENEFITS SHOULD YOU FIND
13 THAT MATRICES, INDEED, MOVE THIS FIELD FORWARD.

14 SO AS I SAID, THESE TWO INVESTIGATORS HAVE
15 NOT BEEN FUNDED BY CIRM BEFORE, AND IT WOULD GET
16 THEM IN.

17 MY TIME IS UP AND I THANK YOU FOR YOUR
18 ATTENTION.

19 MR. SHEEHY: THANK YOU, DR. CHIU. MARCY
20 FEIT.

21 MS. FEIT: I ALWAYS WANT TO START
22 APPLAUDING WHEN DR. CHIU TALKS. THANK YOU FOR THAT
23 PRESENTATION.

24 I THINK THIS RFA GIVES US A UNIQUE
25 OPPORTUNITY TO APPROACH IT IN A DIFFERENT MANNER.

BARRISTERS' REPORTING SERVICE

1 AND I THINK, BECAUSE THIS IS AN EXPLORATORY TRACK, I
2 THINK IT REALLY GETS HIGH MARKS FOR MEETING THAT
3 GOAL.

4 MR. SHEEHY: THANK YOU, MARCY. DO WE HAVE
5 ADDITIONAL BOARD OR PUBLIC COMMENT ON THIS GRANT?
6 THEN I THINK WE SHOULD CALL THE ROLL.

7 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

8 DR. DULIEGE: YES.

9 MS. BONNEVILLE: MARCY FEIT.

10 MS. FEIT: YES.

11 MS. BONNEVILLE: STEVE JUELSGAARD.

12 DR. JUELSGAARD: AYE.

13 MS. BONNEVILLE: LAUREN MILLER.

14 MS. MILLER: YES.

15 MS. BONNEVILLE: JOE PANETTA.

16 MR. PANETTA: AYE.

17 MS. BONNEVILLE: FRANCISCO PRIETO.

18 DR. PRIETO: AYE.

19 MS. BONNEVILLE: ROBERT QUINT.

20 DR. QUINT: YES.

21 MS. BONNEVILLE: JEFF SHEEHY.

22 MR. SHEEHY: YES.

23 MS. BONNEVILLE: JONATHAN THOMAS.

24 CHAIRMAN THOMAS: YES.

25 MS. BONNEVILLE: ART TORRES.

BARRISTERS' REPORTING SERVICE

1 MR. TORRES: AYE.

2 MS. BONNEVILLE: DIANE WINOKUR.

3 MS. WINOKUR: YES.

4 MR. HARRISON: MOTION CARRIES ELEVEN TO
5 ZERO.

6 MR. SHEEHY: DO WE HAVE A MOTION TO MOVE
7 ANY OTHER GRANT INTO TIER I, THE FUNDABLE CATEGORY?

8 DO WE HAVE THE OMNIBUS MOTION WHICH IS --
9 COULD COUNSEL STATE IT?

10 MR. HARRISON: TO FUND THE BASIC BIOLOGY
11 TRACK 2 APPLICATIONS IN TIER I AND NOT TO FUND THE
12 REMAINING BASIC BIOLOGY TRACK 2 APPLICATIONS.

13 MR. TORRES: SO MOVED.

14 MR. SHEEHY: DO WE HAVE A SECOND?

15 DR. JUELSGAARD: SECOND.

16 MR. SHEEHY: DO WE HAVE PUBLIC COMMENT ON
17 ANY APPLICATION IN THIS ROUND? OKAY. WE CAN CALL
18 THE ROLL. REMEMBER THE FORM, IF YOU DO HAVE A
19 CONFLICT, TO NOTE THAT WHEN YOU GIVE YOUR VOTE.

20 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

21 MR. SHEEHY: THIS IS THE MOTION TO FUND
22 THE ROUND.

23 DR. DULIEGE: YES, EXCEPT FOR THOSE FOR
24 WHICH I HAVE A CONFLICT OF INTEREST.

25 MS. BONNEVILLE: MARCY FEIT.

BARRISTERS' REPORTING SERVICE

1 MS. FEIT: YES, EXCEPT FOR MY CONFLICTS.

2 MS. BONNEVILLE: STEVE JUELSGAARD.

3 DR. JUELSGAARD: AYE.

4 MS. BONNEVILLE: LAUREN MILLER.

5 MS. MILLER: AYE.

6 MS. BONNEVILLE: JOE PANETTA.

7 MR. PANETTA: AYE.

8 MS. BONNEVILLE: FRANCISCO PRIETO.

9 DR. PRIETO: AYE, EXCEPT FOR THOSE WITH
10 WHICH I MAY HAVE A CONFLICT.

11 MS. BONNEVILLE: ROBERT QUINT.

12 DR. QUINT: AYE. YES.

13 MS. BONNEVILLE: JEFF SHEEHY.

14 MR. SHEEHY: YES, EXCEPT FOR THOSE WITH
15 WHICH I HAVE A CONFLICT.

16 MS. BONNEVILLE: JONATHAN THOMAS.

17 CHAIRMAN THOMAS: YES.

18 MS. BONNEVILLE: ART TORRES.

19 MR. TORRES: AYE.

20 MS. BONNEVILLE: DIANE WINOKUR.

21 MS. WINOKUR: YES.

22 MR. HARRISON: MOTION CARRIES ELEVEN TO
23 ZERO.

24 MR. SHEEHY: BACK TO YOU, DR. THOMAS.

25 CHAIRMAN THOMAS: THANK YOU VERY MUCH,

BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY, DR. PRIETO, AND OVERALL, FIRST AND
2 FOREMOST, DR. SHEPARD, FOR GETTING US THROUGH A
3 COMPLICATED PRESENTATION WITH GREAT RESULTS. SO
4 THANK YOU VERY MUCH.

5 DR. SHEPARD: THANK ALL OF YOU AS WELL.

6 CHAIRMAN THOMAS: OKAY. WE HAVE ONE ITEM
7 WE'RE GOING TO TAKE OUT OF ORDER BEFORE WE GRAB
8 LUNCH. IT IS, AGAIN, ONE OF THESE BITTERSWEET
9 MOMENTS. MICHAEL, WILL YOU PLEASE COME UP TO THE
10 FRONT?

11 MARCY IS GOING TO START OUT MAKING A FEW
12 COMMENTS, AND THEN OTHERS MAY CHIME IN, AND I WILL
13 CLOSE. THIS IS, I WILL SAY, PARTICULARLY UNUSUAL.
14 NORMALLY WE HAVE THESE SORTS OF RESOLUTIONS MONTHS
15 AFTER SOMEBODY HAS LEFT THE BOARD, AND IT'S VERY
16 STRANGE TO SEE MICHAEL, WHO WAS SITTING RIGHT OVER
17 THERE FIVE WEEKS AGO, NOW AT THE PODIUM ON THE
18 RECEIVING END OF THIS. BUT I'LL HAVE MORE TO SAY AT
19 THE END. SO, MARCY, IF YOU COULD PLEASE START.

20 MS. FEIT: CAN YOU ALL HEAR ME? IT'S
21 REALLY UNUSUAL TO THINK BACK ABOUT THE VERY
22 BEGINNINGS. AS WE WATCH SOME OF OUR COLLEAGUES
23 LEAVE THIS BOARD, WE LOSE SOME OF THE HISTORIANS.
24 AND IN MICHAEL'S CASE HE HELPED PUT TOGETHER PROP
25 71. AND THEN HE WAS COERCED BY ROBERT KLEIN TO HELP

BARRISTERS' REPORTING SERVICE

1 ESTABLISH THIS INSTITUTE. AND SAID, "OH, JUST A
2 COUPLE OF YEARS, MICHAEL, THAT'S ALL WE WANT." AND
3 SIX PLUS YEARS LATER, HE'S STILL WITH US, AND IT IS
4 REALLY SAD TO NOT HAVE HIM ON THIS BOARD.

5 IT'S BEEN MY PLEASURE, MY HONOR, AND MY
6 OPPORTUNITY TO WORK WITH MICHAEL ON THIS BOARD IN
7 THE BEGINNING AND NOW AND ALSO SPECIFICALLY ON THE
8 FINANCE COMMITTEE.

9 MICHAEL HAS JUST DONE AN OUTSTANDING JOB.
10 EARLY ON, FOR THOSE OF YOU WHO WERE HERE AND CAN
11 REMEMBER, THE FINANCE TOOK A SPOTLIGHT PARTICULARLY
12 IN THE STATE BECAUSE WE STILL HAD PEOPLE IN THE
13 BROADER COMMUNITY WHO WERE CHALLENGING THIS PROCESS
14 AND PROP 71 AND DID NOT WANT US TO GO FORWARD. AND
15 SO PAYING SPECIFIC ATTENTION TO THE FINANCES AND
16 MAKING SURE THAT THERE WAS COMFORT ON THE BOARD
17 ABOUT HOW WE WERE GOING TO APPROACH, AND REMEMBER AT
18 THE SAME TIME WE WANTED TO GET FUNDING STARTED, BUT
19 WE ALSO NEEDED TO PUT THE POLICIES TOGETHER. AND SO
20 WE WERE ALMOST DOING THINGS SIMULTANEOUSLY AND A LOT
21 OF PRESS ATTENTION TO THAT.

22 MICHAEL TOOK US FROM THAT VERY CHAOTIC
23 PERIOD INTO A PERIOD NOW, AS YOU SAY, THE FINANCE
24 PRESENTATION TODAY, WITH A LOT OF UNDERSTANDING AND
25 CLARITY OF WHERE WE'VE BEEN, WHAT WE HAVE USED, WHAT

BARRISTERS' REPORTING SERVICE

1 FUNDING IS LEFT, AND PROJECTED IN THE FUTURE. AND
2 SO THERE'S JUST A CLEAR TRAIL AND UNDERSTANDING AND
3 A REALLY GREAT FORMAT OF HOW WE'RE MANAGING THE
4 FINANCES, THE PRECIOUS RESOURCES THAT THE TAXPAYERS
5 HAVE GIVEN US.

6 SO, MICHAEL, ON BEHALF OF MYSELF
7 PERSONALLY, I WANT TO THANK YOU FOR ALL THAT YOU'VE
8 DONE. HE'S, ON A PERSONAL SIDE, ALWAYS A GENTLEMAN
9 AND CAN MEET THE CONTROVERSIES, TAKE THE CRITICISMS
10 FROM THE BOARD, AND IMPLEMENT THEM IN A VERY KIND
11 AND GENTLE WAY WITH THE STAFF. AND IT'S BEEN AN
12 HONOR AND A PLEASURE.

13 ON TAB 11 IS THE RESOLUTION. AND I DO
14 WANT TO READ A LITTLE BIT FROM IT BECAUSE IT REALLY
15 EXPLAINS HOW VALUABLE HE HAS BEEN TO CIRM AS A
16 RESOURCE.

17 MICHAEL GOLDBERG, OVER A CAREER SPANNING
18 30 YEARS, HAS BEEN A SUCCESSFUL CO-FOUNDER AND CEO,
19 BOARD MEMBER, AND VENTURE CAPITALIST. MICHAEL
20 JOINED THE LIFE SCIENCE INDUSTRY IN 1981 AND
21 PARTICIPATED IN COMMERCIALIZATION OF THE FIRST
22 GENERATION OF RECOMBINANT DNA MONOCLONAL ANTIBODY
23 AND PCR TECHNOLOGY.

24 IN ADDITION TO HIS WORK AS A CORPORATE
25 BOARD MEMBER AND INVESTOR, MICHAEL GOLDBERG HAS

BARRISTERS' REPORTING SERVICE

1 SERVED ON THE BOARD OF THE WESTERN ASSOCIATION OF
2 VENTURE CAPITALISTS AND THE ADVISORY COUNCILS OF THE
3 HARVARD CENTER FOR GENETICS AND GENOMICS, THE
4 STANFORD NEUROSCIENCE INSTITUTE, THE CALTECH
5 DIVISION OF BIOLOGY, AND THE BERKELEY CENTER LAW IN
6 TECHNOLOGY.

7 MICHAEL IS ALSO A DEVOTED PATIENT ADVOCATE
8 SERVING AS A MEMBER OF THE PERSONALIZED MEDICAL
9 COALITION AND HONORARY TRUSTEE OF THE NATIONAL
10 CHILDHOOD CANCER FOUNDATION AND AN EARLY SUPPORTER
11 OF PROP 71.

12 TREASURER PHIL ANGELIDES APPOINTED MICHAEL
13 GOLDBERG TO SERVE ON THE GOVERNING BOARD OF THE
14 CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN
15 2004, AND TREASURER BILL LOCKYEAR REAPPOINTED HIM IN
16 2010.

17 AS A FOUNDING MEMBER OF CIRM'S GOVERNING
18 BOARD, MICHAEL BROUGHT A WEALTH OF KNOWLEDGE,
19 INSIGHT, AND EXPERIENCE. MICHAEL CONTRIBUTED HIS
20 FINANCIAL ACUMEN TO CIRM THROUGH HIS LEADERSHIP OF
21 THE BOARD'S FINANCIAL SUBCOMMITTEE, THROUGH HIS
22 COUNSEL TO THE CHAIRMAN OF THE BOARD, THE PRESIDENT,
23 AND THE CIRM STAFF. MICHAEL GOLDBERG ALSO
24 CONTRIBUTED TO THE BOARD BY SERVING AS A MEMBER OF
25 THE INTELLECTUAL PROPERTY AND INDUSTRY SUBCOMMITTEE

BARRISTERS' REPORTING SERVICE

1 CALLED THE IP TASK FORCE, THE LEGISLATIVE
2 SUBCOMMITTEE, AND ON TWO PRESIDENTIAL SEARCH
3 SUBCOMMITTEES.

4 MICHAEL, THROUGH HIS EXPERIENCE,
5 COMMITMENT, KNOWLEDGE, AND LEADERSHIP CONTRIBUTED
6 GREATLY TO THE MOMENTUM OF DISCOVERY INTO FUTURE
7 THERAPIES WHICH WILL BE THE ULTIMATE OUTCOME OF THE
8 DEDICATED WORK OF THE RESEARCHERS RECEIVING CIRM
9 FUNDING.

10 MICHAEL, ON BEHALF OF THE PATIENTS WHO ARE
11 LOOKING FORWARD TO THESE THERAPIES AND THIS BOARD
12 AND THIS INSTITUTE, THANK YOU SO MUCH FOR BEING A
13 PART OF IT.

14 (APPLAUSE.)

15 CHAIRMAN THOMAS: SENATOR TORRES.

16 MR. TORRES: I FIRST MET MICHAEL IN HIS
17 OFFICE WHEN I WAS TRYING TO GAIN HIS VOTE TO BECOME
18 VICE CHAIRMAN OF THIS INCREDIBLE ORGANIZATION, AND A
19 FRIENDSHIP ENSUED OF MUTUAL RESPECT AND ADMIRATION,
20 OF SHARING OUR CHILDREN'S STORIES ABOUT STANFORD AND
21 HOW MUCH IT COSTS AND HOW MUCH IT'S GOING TO
22 CONTINUE TO COST, BUT WELL WORTH THE COST, BUT ALSO
23 JUST FAMILY. AND I AM SO HAPPY THAT YOU HAVE FOUND
24 HAPPINESS IN YOUR PERSONAL LIFE AS WELL BECAUSE IT
25 SHOWS. AND YOU HAVE AN INCREDIBLE HEART.

BARRISTERS' REPORTING SERVICE

1 THE ONLY THING I WILL NOT MISS ARE YOUR
2 CORNY JOKES, BUT I INTEND TO KEEP IN TOUCH. AND I
3 HOPE YOU DO AS WELL. I LOVE YOU.

4 CHAIRMAN THOMAS: THANK YOU, SENATOR.
5 MR. SHEEHY.

6 MR. SHEEHY: WELL, I WANT TO JUST, FIRST
7 OF ALL, IT'S BEEN AN ABSOLUTE JOY TO SERVE WITH
8 MICHAEL GOLDBERG, BUT I THINK WHAT IS HAPPENING IS
9 WE'RE SEEING A REAL TRANSITION. I THINK YOU'RE THE
10 LAST OF YOUR CLASS, SO TO SPEAK, A VERY CLASSY
11 CLASS: DUANE ROTH, TED LOVE, ED PENHOET, PEOPLE
12 FROM INDUSTRY WHO HAVE CONTRIBUTED TO THE FORMATION
13 OF THIS INSTITUTE. AND YOU KNOW THERE WAS SOME
14 MUTTERINGS AT THE BEGINNING, OH, INDUSTRY, INDUSTRY.
15 THE INTEGRITY -- AND MICHAEL SURELY SHINES WITH
16 THESE OTHER THREE INDIVIDUALS -- THE ABSOLUTE
17 INTEGRITY WITH WHICH THEY TOOK ON THE TASK, THE
18 SACRIFICES THAT THEY ALL HAVE MADE, INCLUDING
19 MICHAEL, IN BUILDING THIS INSTITUTE HAVE BEEN A
20 TREMENDOUS PUBLIC SERVICE TO THE PEOPLE OF
21 CALIFORNIA AND TO THE PATIENTS WHO WILL EVENTUALLY
22 BENEFIT FROM THESE THERAPIES.

23 SO I PERSONALLY AM VERY GRATEFUL. THANK
24 YOU, MICHAEL.

25 CHAIRMAN THOMAS: OTHER COMMENTS? THANK

BARRISTERS' REPORTING SERVICE

1 YOU, MR. SHEEHY.

2 DR. PRIETO: MICHAEL, I JUST HOPE YOU KNOW
3 HOW MUCH WE'LL MISS YOU. I THINK IT WAS REALLY --
4 YOU BROUGHT EXPERTISE THAT WE SORELY NEEDED EARLY IN
5 THE CHAOTIC BEGINNINGS OF THIS INSTITUTE, BUT ALSO A
6 LEVEL HEAD THAT MEANT SO MUCH AT A TIME WHEN WE HAD
7 MORE THAN OUR SHARE OF DRAMA. AND REALLY APPRECIATE
8 YOU HELPING US THROUGH THAT.

9 CHAIRMAN THOMAS: DR. TROUNSON.

10 DR. TROUNSON: MICHAEL, I THINK ON BEHALF
11 OF MYSELF AND ALL THE STAFF AT CIRM, YOU'VE BEEN ONE
12 OF THE PEOPLE ON THE BOARD WHO ARE THE MOST
13 ACCESSIBLE AND THE MOST COMPLIMENTARY AND THE MOST
14 HELPFUL. YOU'VE BEEN FANTASTIC PARTICULARLY TO OUR
15 FINANCIAL GROUP. AND YOUR GUIDANCE AND SUGGESTIONS
16 OFF CAMERA, I THINK, WERE REALLY, REALLY HELPFUL AND
17 ENABLED US TO GET THINGS DONE IN A VERY EFFECTIVE
18 WAY.

19 BUT YOU'RE A VERY LIKABLE GUY ALSO, NOT
20 THAT THE REST OF THE BOARD ARE NOT LIKABLE, VERY
21 LIKABLE, BUT YOU'RE PARTICULARLY LIKABLE. AND I
22 KNOW THAT YOU AND I ARE GOING TO KEEP UP TOGETHER IN
23 THE FUTURE, AND I'M SURE MEMBERS OF THE BOARD WILL
24 KEEP IN TOUCH WITH YOU. BUT FOR YOU AND I, AND I
25 THINK KEY MEMBERS OF THE STAFF HERE, WE'LL KEEP IN

BARRISTERS' REPORTING SERVICE

1 CONTACT, MICHAEL, BECAUSE YOU HAVE SOMETHING THAT'S
2 VERY SPECIAL AND IT'S A FRIENDSHIP AND IT WILL GO
3 ON -- FOR ME IT WILL GO ON FOREVER AND I THINK MANY
4 OF THE STAFF MEMBERS IT WILL, AND I ACTUALLY THINK
5 THE BOARD ALSO WHO KNOW YOU REASONABLY WELL.

6 BUT THERE ARE MANY THINGS THAT WILL HAPPEN
7 IN THE FUTURE, I THINK, WHERE WE'LL PROBABLY NEED
8 YOUR GUIDANCE BECAUSE THINGS ARE HAPPENING OUT THERE
9 THAT YOU AND I ARE AWARE OF THAT WOULD HELP THE
10 BOARD FROM TIME TO TIME. SO I JUST HOPE THAT IF
11 WE'RE ABLE TO REACH OVER TO YOU AT TIMES, THAT YOU
12 CAN GIVE US SOME ADVICE AS WE MOVE FORWARD BECAUSE I
13 THINK THAT WOULD BE REALLY, REALLY HELPFUL AS A
14 CONTINUING FRIENDSHIP ROLE WITH THE AGENCY AND WITH
15 ME PERSONALLY AND I THINK KEY MEMBERS OF OUR STAFF.
16 THANK YOU VERY MUCH. YOU'RE A GOOD BLOKE.

17 MR. REED: ONE THING ABOUT MICHAEL IS THAT
18 HE IS SO SMART. I SAW HIM ONE TIME OUTSIDE READING
19 A BOOK AND HE WAS LAUGHING. I WONDERED WHAT COULD
20 THIS BOOK BE THAT WOULD MAKE HIM LAUGH OUT LOUD.
21 THAT'S A GOOD BOOK. AND IT WAS A BIOLOGY BOOK. AND
22 THERE ARE ALL THESE LINES OF LETTERS, DISCONNECTED
23 LETTERS. AND HE SAID, "HA-HA-HA, LOOK AT THAT."
24 AND I SAID, "WHAT IS IT?" AND HE SAYS, "THIS GUY IS
25 SO SMART." WELL, HERE'S A MAN THAT KNOWS ALL THESE

BARRISTERS' REPORTING SERVICE

1 DIFFERENT FIELDS WHICH HAVE BENEFITED US SO MUCH.
2 WE'RE GOING TO MISS YOU INTENSELY.

3 AND THE ONLY THING I CAN SAY IS WHAT GIVES
4 ME COMFORT IN TIMES OF GENUINE SADNESS IS WHEN THE
5 INVENTOR OF KERMIT THE FROG DIED, JIM HENSEN, AND
6 HIS WIFE SAID, "WE WILL NOT MOURN THAT WE WILL MISS
7 YOU. WE WILL TAKE JOY THAT WE GOT THE CHANCE TO
8 KNOW YOU."

9 CHAIRMAN THOMAS: MR. JUELSGAARD.

10 DR. JUELSGAARD: THIS WILL BE VERY BRIEF.
11 I JUST WANT TO SAY THANK YOU, MICHAEL. AS MANY OF
12 YOU MAY OR MAY NOT KNOW, MICHAEL IS THE REASON I'M
13 ON THIS BOARD, AND THAT'S A BURDEN, I'M AFRAID,
14 MICHAEL, YOU ARE GOING TO HAVE TO BEAR FOR A LONG
15 TIME.

16 CHAIRMAN THOMAS: MICHAEL, WE'VE HAD THE
17 PRIVILEGE OF SHARING MANY CONVERSATIONS. WE HAVE
18 OUR PERIODIC LUNCHES AT COCO'S WHERE YOU ALWAYS WERE
19 NOT ONLY INSIGHTFUL AS TO SUBSTANCE ON CIRM, BUT AS
20 TO THE BEST ITEMS ON THE MENU. WE HAVE HAD YOU COME
21 AND SIT WITH US IN CONNECTION WITH ANY NUMBER OF
22 ITEMS, NOT JUST FINANCE, NOT JUST INDUSTRY, BUT YOU
23 ALONG WITH OTHERS ON THE BOARD, DUANE, I THINK, IN
24 PARTICULAR. REALLY YOU WERE A BRIDGER. YOU WERE
25 SOMEBODY WHO COULD SORT OF HEAR DISPARATE POINTS OF

BARRISTERS' REPORTING SERVICE

1 VIEW THAT DIDN'T ALWAYS AGREE AND WERE ABLE TO COME
2 TO A COMPROMISE SOLUTION AND DO SO IN THE GENTLE
3 MANNER THAT HAS BEEN SPOKEN TO HERE BY MANY ON THE
4 BOARD AND WOULD ALLOW US TO PROCEED ON TO SOLUTIONS
5 THAT REALLY HELPED CIRM ADVANCE.

6 YOU'RE SOMEBODY WHO HAS BEEN CRUCIAL IN
7 THE INDUSTRY EXPERTISE. AS JEFF SAID, THE OLD GUARD
8 HAS NOW LEFT. WE HAVE NEW GUARD, MOST RECENTLY JOE
9 HAS JOINED US TO TAKE UP THE INDUSTRY MANTLE. WE DO
10 NEED TO FIND SOMEBODY TO STEP INTO YOUR SHOES. IT
11 WILL NOT BE SOMEBODY WHO CAN AT ALL EASILY REPLACE
12 YOU AND WHAT YOU'VE BROUGHT. IN FACT, IT WILL BE
13 IMPOSSIBLE GIVEN YOUR ROLE OVER SO MANY YEARS, BUT
14 WE WILL DO THE BEST WE CAN.

15 SO ON BEHALF OF THE BOARD, JUST WANT TO
16 RECOGNIZE YOUR MASSIVE CONTRIBUTION, YOUR
17 DEDICATION, YOUR FRIENDSHIP, AND WE HAVE FOR YOU IN
18 FRAMED FORM THE RESOLUTION MARCY REFERRED TO, AND
19 YOU HAVE THE BOARD'S SINCEREST GRATIFICATION AND
20 APPRECIATION FOR ALL THAT YOU'VE DONE. SO THANK YOU
21 VERY MUCH.

22 (APPLAUSE.)

23 MR. GOLDBERG: SO IS LUNCH NEXT? IS IT?
24 OKAY. SO THE GOOD NEWS THAT I HAVE TO REPORT IS THE
25 LONGER IT TOOK TO GET TO THIS AGENDA ITEM, THE

BARRISTERS' REPORTING SERVICE

1 SHORTER MY REMARKS HAVE BECOME.

2 SO IT'S BEEN AN ABSOLUTE HONOR TO SERVE MY
3 FELLOW CALIFORNIANS IN CONNECTION WITH THIS. FROM
4 THE TIME WE WORKED ON PROP 71, MANY OF US TOGETHER,
5 TO THE TIME THAT WE'VE GOTTEN TO NOW WHERE WE HAVE,
6 MY LAST COUNT, EIGHT CLINICAL TRIALS GOING OR ABOUT
7 TO GO AND THE PROMISE OF WHAT SO MANY OF US HAD
8 HOPED FOR NINE YEARS AGO AND TEN YEARS AGO ARE
9 BEGINNING TO REALLY COME TO PASS.

10 THE PRIVILEGE OF WORKING WITH ALL OF YOU,
11 EVEN THE TWO NEW BOARD MEMBERS, WELCOME,
12 CONGRATULATIONS. IT'S A MAGNIFICENT OPPORTUNITY FOR
13 YOU TO SERVE YOUR FELLOW CALIFORNIANS. THIS HAS
14 BEEN A MAGNIFICENT STATE AGENCY TO HELP PARTICIPATE
15 IN THE CREATION OF.

16 IN TERMS OF ENTREPRENEURIAL EFFORTS, THIS
17 ACTUALLY EXCEEDED -- PUTTING ALL THIS TOGETHER
18 EXCEEDED ANYTHING I'VE SEEN DONE IN INDUSTRY OVER
19 THE PAST 30 YEARS. THE TIME FRAME, THE CONSTRAINTS,
20 THE TRANSPARENCY THAT WAS REQUIRED FOR ALL OF THE
21 SCIENTIFIC, ETHICAL, AND OTHER POLICIES TO BE
22 DEPLOYED. AND I'M JUST SO THRILLED AND SO PROUD TO
23 HAVE BEEN A SMALL PART OF ALL THIS.

24 AND TO THE STAFF, THE SCIENTIFIC TEAM, THE
25 UNSUNG HEROES OF WHAT REALLY GOES ON HERE, OF

BARRISTERS' REPORTING SERVICE

1 COURSE, TO CHILA WHO MAKES ME LOOK GOOD ALL THE TIME
2 AND HER ORGANIZATION, AND TO THE SENIOR STAFF WHO
3 HAVE BEEN KIND TO ME AND SUPPORTED ME IN THE THINGS
4 THAT I'VE BEEN REQUESTING OVER THE YEARS TO
5 EFFECTIVELY DISCHARGE MY DUTIES. SO AS THEY SAY AT
6 THE PASSOVER SEDER, AND I APOLOGIZE IN ADVANCE TO
7 SENATOR TORRES FOR THE LAST CORNY JOKE, THEY TRIED
8 TO KILL US. THEY FAILED. LET'S EAT.

9 (APPLAUSE.)

10 CHAIRMAN THOMAS: ON THAT NOTE AND ON THAT
11 ADVICE, WE NEED -- BOARD MEMBERS, PLEASE LISTEN FOR
12 A MINUTE HERE. WE NEED TO GO GET OUR LUNCH ACROSS.
13 WE STILL HAVE A BUSY AGENDA. WE'RE GOING TO WORK
14 THROUGH LUNCH. SO IF YOU COULD GO GET YOUR LUNCH
15 AND BRING IT BACK OVER AND WE'LL PROCEED AS SOON AS
16 EVERYBODY HAS REGROUPED.

17 (A RECESS WAS TAKEN.)

18 CHAIRMAN THOMAS: OKAY. WE ARE
19 RECONVENING HERE. AS EVERYBODY CONTINUES THEIR
20 LUNCH, WE'RE GOING TO TAKE CARE OF THREE VERY SHORT
21 AGENDA ITEMS, VERY SHORT, BEFORE WE GET TO THE
22 GENOMICS MATTER. SO WE'RE GOING TO START WITH ITEM
23 12, CONSIDERATION OF THE CANDIDATE POSITION
24 STATEMENT FOR PRESIDENT.

25 THE PRESIDENTIAL SEARCH SUBCOMMITTEE MET

BARRISTERS' REPORTING SERVICE

1 LAST EVENING, REVIEWED THIS STATEMENT, WHICH HAD
2 BEEN PUT TOGETHER BY WARREN OVER A PERIOD OF THE
3 LAST FEW WEEKS WITH VARIOUS OF US. THE RESULT WAS
4 WE HAD A VOTE ON THE LATEST VERSION AT THE
5 PRESIDENTIAL SEARCH SUBCOMMITTEE LAST NIGHT, AND
6 WARREN IS HERE TO DISCUSS THAT AS IT REQUIRES A VOTE
7 OF THE FULL BOARD FOR HIM TO PROCEED FURTHER IN THE
8 PROCESS. WARREN.

9 MR. ROSS: THANK YOU. WE'LL MAKE THIS
10 BRIEFER THAN YOUR PIECE OF TIRAMASU WAS THERE.

11 THE DOCUMENT IS ACTUALLY CALLED THE
12 POSITION SPECIFICATION. I'M NOT QUITE SURE WHERE
13 THE OTHER NAME CAME FROM, BUT IT'S MILDLY CONFUSING.
14 IT IS A DOCUMENT INTENDED TO, FIRST OF ALL, ATTRACT
15 PEOPLE TO THE POSITION, INFORM PEOPLE ABOUT THE
16 POSITION, SHARE WHAT SOME OF THE CHALLENGES ARE, AND
17 TALK A GOOD BIT ABOUT THE ORGANIZATION ITSELF. IF
18 YOU READ IT, YOU REALIZED THAT WE PUT SOME VERY
19 SPECIFIC INFORMATION IN THERE FROM THE PROPOSITION
20 71 LANGUAGE ITSELF. WE DID THAT. WE DON'T NORMALLY
21 DO THAT SORT OF THING; BUT GIVEN THE HISTORY AND THE
22 COMPLEXITY OF THIS MANAGEMENT/GOVERNANCE STRUCTURE,
23 WE DECIDED IT WOULD BE A GOOD IDEA TO PUT IT THERE
24 IN BLACK AND WHITE FOR PEOPLE TO READ, AS WELL AS
25 THE CANDIDATE SELECTION CRITERIA THAT THE BOARD

BARRISTERS' REPORTING SERVICE

1 APPROVED PREVIOUSLY.

2 WE REVIEWED IT LAST NIGHT IN THE SEARCH
3 COMMITTEE. WE MADE A COUPLE OF EDITS, WHICH AS SOON
4 AS JAMES SWALLOWS, I'M GOING TO ASK HIM TO ACTUALLY
5 SHARE THE EDITS WITH YOU. BUT THE MOST IMPORTANT
6 PART OF THIS DOCUMENT IS IT'S BEGINNING TO TELL THE
7 STORY OF THE ORGANIZATION, THE POSITION, AND THE
8 SEARCH. AND SO I DO WANT TO HEAR FROM YOU IF YOU
9 FEEL THAT WE HAVE NOT ACCOMPLISHED THAT IN A
10 REASONABLE WAY.

11 MR. HARRISON: THE SUBCOMMITTEE MADE TWO
12 CHANGES TO THE CANDIDATE SPEC. THE FIRST IS ON PAGE
13 8 UNDER CRITICAL QUALITIES OF PRESIDENT LEADERSHIP.
14 IN THE FOURTH BULLET, SECOND SENTENCE, THE WORDS
15 INCREASING, AN INCREASING WERE STRUCK. SO THE
16 SENTENCE NOW READS HOLDS HIGH STANDARDS AND IS ABLE
17 TO RECRUIT PEOPLE TO MEET THOSE STANDARDS.

18 THE SECOND AND LAST CHANGE IS ON PAGE 9
19 UNDER EDUCATION, CREDENTIALS, AND RELEVANT
20 EXPERIENCE. TO THE SECOND BULLET, AFTER THE WORD
21 "FROM," WE'D ADD INDUSTRY. AND AT THE END OF THE
22 SENTENCE WE'D ADD THE PHRASE "BUT NOT REQUIRED" SO
23 THAT THE FULL BULLET WOULD READ A WELL-RESPECTED
24 SCIENTIST AND SUCCESSFUL LEADER OF A STEM CELL
25 PROGRAM FROM INDUSTRY, AN INTERDISCIPLINARY

BARRISTERS' REPORTING SERVICE

1 INSTITUTE, OR ACADEMIC ENTITY OF AT LEAST COMPARABLE
2 SIZE AND SCOPE IS PREFERRED, BUT NOT REQUIRED.

3 SO WITH THOSE TWO CHANGES, THE
4 SUBCOMMITTEE APPROVED THE CANDIDATE SPEC AS
5 PRESENTED BY DR. ROSS.

6 CHAIRMAN THOMAS: DO I HEAR A MOTION TO SO
7 APPROVE?

8 MR. TORRES: SO MOVED.

9 CHAIRMAN THOMAS: MOVED BY SENATOR TORRES.
10 SECONDED BY --

11 DR. FRIEDMAN: SECOND.

12 CHAIRMAN THOMAS: -- BY DR. FRIEDMAN. ANY
13 COMMENTS BY MEMBERS OF THE BOARD?

14 MR. TORRES: YES. AS A MEMBER OF THE
15 PRESIDENTIAL SEARCH SUBCOMMITTEE, I JUST WANT TO
16 THANK YOU, DR. ROSS. YOU HAVE BEEN INCREDIBLE THUS
17 FAR. I THINK YOU'RE GOING TO TAKE US TO A WONDERFUL
18 TRAJECTORY TO MAKE SURE THAT WE GET THE RIGHT PERSON
19 TO FILL THE BIG SHOES THAT DR. TROUNSON HAS LEFT FOR
20 US. BUT I PERSONALLY WANT TO THANK YOU AND
21 ESPECIALLY REGARDING YOUR INTEGRITY AND CHARACTER AS
22 YOU'VE SHOWN THROUGH THIS PROCESS.

23 MR. ROSS: THANK YOU. YOU'RE MAKING ME
24 VERY NERVOUS.

25 CHAIRMAN THOMAS: MR. JUELSGAARD.

BARRISTERS' REPORTING SERVICE

1 DR. JUELSGAARD: YES. THIS ISN'T DIRECTLY
2 RELATED TO THE MATERIAL YOU PUT TOGETHER, BUT THIS
3 CAME OUT OF A DISCUSSION THAT WE HAD LAST EVENING
4 AROUND CONFLICTS OF INTEREST. AND SO ONE OF THE
5 CONFLICTS OF INTEREST THAT I WASN'T AWARE OF IS THAT
6 YOU'RE FROM INDUSTRY AND YOU HAVE A HOLDING OF 5
7 PERCENT OR MORE IN A STEM CELL COMPANY NO MATTER
8 WHERE IT'S LOCATED. IT COULD BE IN NEW YORK AND
9 HAVE NOTHING TO DO WITH CALIFORNIA, NOTHING TO DO
10 WITH CIRM. THAT WOULD, FROM A CONFLICT OF INTEREST
11 POINT OF VIEW, DISQUALIFY YOU FROM SEEKING THIS
12 POSITION.

13 AND SO I WOULD LIKE TO RECOMMEND THAT THE
14 GOVERNANCE COMMITTEE RECONSIDER THAT PARTICULAR
15 CONFLICT OF INTEREST POLICY THAT WE HAVE REGARDING
16 MEMBERS OF INDUSTRY. I THINK IT'S VERY NARROWLY
17 DRAWN, AND I'M NOT SURE THAT IT SERVES A USEFUL
18 PURPOSE, AT LEAST AT THE 5-PERCENT LEVEL.

19 CHAIRMAN THOMAS: JAMES, IS THAT SOMETHING
20 THAT REQUIRES -- THAT DOESN'T REQUIRE A MOTION. WE
21 WOULD JUST HAVE IT AS SOMETHING THAT WE WANT ON THE
22 AGENDA AT THE GOVERNANCE SUBCOMMITTEE, CORRECT?

23 MR. HARRISON: CORRECT. WE'D ASK THE
24 CHAIR OF THE GOVERNANCE SUBCOMMITTEE TO SCHEDULE A
25 MEETING TO ADDRESS IT. AND, IN FACT, WE NEED TO

BARRISTERS' REPORTING SERVICE

1 HAVE A GOVERNANCE SUBCOMMITTEE MEETING IN ANY EVENT,
2 SO WE CAN ADD THIS TO THE AGENDA.

3 CHAIRMAN THOMAS: OKAY. AND I AGREE WITH
4 MR. JUELSGAARD. I BELIEVE THAT'S A VERY GOOD
5 SUGGESTION. I THINK THAT WAS THE CONSENSUS OF THE
6 SEARCH SUBCOMMITTEE LAST EVENING.

7 OTHER COMMENTS ON THE MOTION? ANY
8 COMMENTS FROM MEMBERS OF THE PUBLIC? HEARING NONE,
9 JAMES, I ASSUME VOICE VOTE IS OKAY ON THIS.

10 MR. HARRISON: EXCEPT FOR THE TWO MEMBERS
11 WHO ARE ON THE PHONE.

12 CHAIRMAN THOMAS: CORRECT. OKAY. SO ALL
13 THOSE IN FAVOR OF THE ADOPTION OF THESE PRESIDENTIAL
14 SPECS PLEASE SAY AYE. OPPOSED? ABSTENTIONS?

15 MEMBERS ON THE PHONE, I'M SORRY.

16 DR. WESTON: AYE.

17 DR. FINE: AYE.

18 MS. BONNEVILLE: THAT WAS DR. FINE AND
19 DONNA WESTON.

20 CHAIRMAN THOMAS: THANK YOU. THANK YOU,
21 BOTH OF YOU. MR. HARRISON, IT SO SEEMS TO HAVE BEEN
22 APPROVED RESOUNDINGLY. THANK YOU. AND THANK YOU,
23 WARREN. WE LOOK FORWARD TO CONTINUATION OF THE
24 JOURNEY.

25 GOING TO NOW TO TURN TO A COUPLE OF FAIRLY

BARRISTERS' REPORTING SERVICE

1 QUICK GEOFF LOMAX ISSUES. I THINK WE HAD TO PUT ONE
2 OF THESE OFF FROM THE LAST BOARD MEETING, SO WE
3 APPRECIATE YOUR INDULGENCE, MR. LOMAX. GO TO ITEM
4 9, CONSIDERATION OF APPOINTMENT OF NEW MEMBERS TO
5 THE MEDICAL AND ETHICAL STANDARDS WORKING GROUP.

6 DR. LOMAX: THANK YOU VERY MUCH, MR.
7 CHAIRMAN, MEMBERS OF THE BOARD. LAST SUMMER WE LOST
8 THE SERVICES OF DR. NICOLE LOCKHART TO THE STANDARDS
9 WORKING GROUP. DR. LOCKHART BROUGHT A TREMENDOUS
10 AMOUNT OF EXPERIENCE THAT INFORMED THE DEVELOPMENT
11 OF OUR STEM CELL BANKING INITIATIVE. AND SO WE FELT
12 THAT, GIVEN THE EARLY STAGES OF THAT INITIATIVE, IT
13 WAS ESSENTIAL TO FIND SOME EQUIVALENT EXPERIENCE TO
14 FILL THAT ROLE IN THE STANDARDS WORKING GROUP.

15 WE WERE FORTUNATE ENOUGH TO IDENTIFY
16 MARIANA BLEDSOE, WHO BRINGS A WEALTH OF EXPERIENCE
17 BOTH IN BIOBANKING, BIOREPOSITORIES, AND ALL THE
18 ETHICAL, LEGAL, AND POLICY CONSIDERATIONS THAT
19 ACCOMPANY THAT TYPE OF ACTIVITY.

20 SO IN YOUR BINDER YOU HAVE A MORE DETAILED
21 BACKGROUND ON HER EXPERIENCE, BUT TO SAY WE FEEL
22 RELIEVED THAT WE WERE ABLE TO ENTERTAIN HER
23 CANDIDACY TO THE STANDARDS WORKING GROUP AT A TIME
24 WHERE WE'RE IN THE CRITICAL PHASES OF IMPLEMENTING
25 OUR IPS REPOSITORY. SO WE WOULD SEEK YOUR APPROVAL

BARRISTERS' REPORTING SERVICE

1 FOR HER APPOINTMENT TO THE STANDARDS WORKING GROUP.

2 CHAIRMAN THOMAS: DO I HEAR A MOTION?

3 DR. JUELSGAARD: SO MOVED.

4 CHAIRMAN THOMAS: MR. JUELSGAARD.

5 SECONDED BY --

6 MR. SHEEHY: SECOND.

7 CHAIRMAN THOMAS: -- MR. SHEEHY.

8 DISCUSSION BY MEMBERS OF THE BOARD? ANY PUBLIC
9 COMMENT? MOVE TO A VOTE. ALL THOSE IN FAVOR PLEASE
10 SAY AYE. OPPOSED? ABSTENTIONS? THOSE ON THE
11 PHONE?

12 DR. FINE: AYE.

13 CHAIRMAN THOMAS: THANK YOU, LEON. DONNA,
14 ARE YOU STILL THERE?

15 DR. WESTON: YES. THAT WAS AN AYE.

16 CHAIRMAN THOMAS: GREAT. THANK YOU.

17 OKAY. THAT'S APPROVED UNANIMOUSLY AS WELL.

18 ON TO ITEM NO. 10, CONSIDERATION OF
19 INITIATING RULEMAKING FOR AMENDMENT OF SECTION
20 100070 OF THE CIRM MEDICAL AND ETHICAL STANDARDS.

21 MR. LOMAX.

22 DR. LOMAX: THANK YOU VERY MUCH, MR.
23 CHAIRMAN. I WOULD LIKE TO INDICATE THERE'S A SLIGHT
24 DISCREPANCY BETWEEN THE SLIDES THAT WILL BE ON THE
25 SCREEN AND WHAT IS IN YOUR BINDER, BUT I DO NOT

BARRISTERS' REPORTING SERVICE

1 BELIEVE IT TO BE SUBSTANTIVE IN NATURE.

2 FIRST OF ALL, I JUST FOR A MOMENT WANTED
3 TO EXPLAIN WHAT INITIATING THE RULEMAKING PROCESS
4 ENTAILS BECAUSE I KNOW, AGAIN, WE HAVE A NUMBER OF
5 NEW MEMBERS, AND WE FREQUENTLY COME TO YOU WITH THIS
6 REQUEST. SO I THOUGHT I'D BRIEFLY OUTLINE THE
7 PROCESS.

8 AS YOU ARE AWARE, CIRM MAINTAINS AN
9 EXTENSIVE BODY OF REGULATIONS, AND OFTEN WE NEED TO
10 UPDATE THOSE REGULATIONS IN RESPONSE TO NEW
11 DEVELOPMENTS.

12 SO THE PROCESS IS EFFECTIVELY SIX STEPS.
13 IT FIRST INVOLVES EITHER AN ICOC WORKING GROUP, A
14 SUBCOMMITTEE OF THE ICOC, OR CIRM STAFF WILL DEVELOP
15 A POLICY PROPOSAL IN RESPONSE TO A NEED. IN THIS
16 CASE I'M BRINGING FORWARD A PROPOSAL THAT WAS
17 DEVELOPED BY THE MEDICAL AND ETHICAL STANDARDS
18 WORKING GROUP TO AMEND OUR MEDICAL AND ETHICAL
19 STANDARDS.

20 STEP 2, WHICH IS WHERE WE ARE TODAY, WOULD
21 BE THEN FOR YOU ALL TO CONSIDER INITIATING THE
22 PROCESS WHERE WE GO ABOUT ACTUALLY CHANGING THE
23 REGULATION. AND THEN IF YOU APPROVE THAT, WE WOULD
24 THEN TAKE THE PROPOSED AMENDMENT TO THE OFFICE OF
25 ADMINISTRATIVE LAW, WHICH IS A STATE AGENCY THAT

BARRISTERS' REPORTING SERVICE

1 REVIEWS OUR REGULATORY RULEMAKING PROCESSES AND
2 MAKES SURE THEY WERE COMPLIANT WITH STATE LAW.

3 THERE IS A PAUSE AT THAT POINT WHERE WE
4 NOTIFY THE WORLD THAT WE ARE INTENDING TO CHANGE OUR
5 RULES. AND THAT ALLOWS FOR PUBLIC COMMENT, WHICH IS
6 STEP 4. WE COMPILE AND REVIEW THOSE COMMENTS. IN
7 SOME CASES WE WOULD GO BACK TO OUR WORKING GROUP AND
8 INDICATE THAT THERE WERE SOME COMMENTS RECEIVED, AND
9 THEY WOULD CONSIDER THEM AND POTENTIALLY REVISE THE
10 PROPOSAL. THAT PROPOSAL THEN COMES BACK TO THE ICOC
11 FOR FINAL APPROVAL, AND THEN WE GO TO THE OFFICE OF
12 ADMINISTRATIVE LAW SEEKING THE FINAL, FINAL RULE.

13 SO I AM TODAY BRINGING TO YOU A POLICY
14 PROPOSAL AGAIN THAT WOULD AFFECT OUR MEDICAL AND
15 ETHICAL STANDARDS REGULATIONS. BY WAY OF
16 BACKGROUND, OUR EXISTING REGULATIONS REQUIRE A STEM
17 CELL RESEARCH OVERSIGHT COMMITTEE TO REVIEW AND
18 APPROVE CLINICAL STUDIES INVOLVING THE
19 TRANSPLANTATION TO HUMAN PATIENTS OF CELLS DERIVED
20 FROM PLURIPOTENT STEM CELLS. BUT CIRM ALSO REQUIRES
21 GRANTEES TO COMPLY WITH THE FEDERAL REGULATIONS FOR
22 THE PROTECTION OF HUMAN SUBJECTS, BETTER KNOWN AS
23 THE COMMON RULE.

24 SO THE COMMON RULE ALSO REQUIRES AN
25 INSTITUTIONAL REVIEW BOARD TO REVIEW AND APPROVE AND

BARRISTERS' REPORTING SERVICE

1 MONITOR CLINICAL STUDIES. THEREFORE, WE HAVE
2 ACTUALLY TWO REVIEWS GOING ON FOR THE SAME CLINICAL
3 PROTOCOL.

4 A NUMBER OF OUR GRANTEES' INSTITUTIONS
5 HAVE DECIDED TO CONCENTRATE THEIR EXPERTISE WITHIN
6 THE INSTITUTIONAL REVIEW BOARD TO DO THESE TYPES OF
7 REVIEWS. THEY JUST SEE IT AS THE MOST EFFECTIVE WAY
8 TO ENSURE THE SAFETY AND EFFICACY OF CLINICAL
9 RESEARCH.

10 SO THE STANDARDS WORKING GROUP MEMBERSHIP
11 UNANIMOUSLY RECOMMENDED A REGULATORY CHANGE THAT
12 WOULD ALLOW THE INSTITUTIONAL REVIEW BOARD TO BE THE
13 EXCLUSIVE REVIEW BODY FOR THE SAFETY AND EFFICACY OF
14 SUCH TRIALS CONSISTENT WITH FEDERAL REGULATIONS AND
15 THE COMMON RULE. THEREFORE, THE OBLIGATION FOR A
16 STEM CELL RESEARCH OVERSIGHT COMMITTEE WOULD GO
17 AWAY, BUT THE IRB WOULD STILL REVIEW ANY STUDY.
18 INSTITUTIONS EXERCISING THIS OPTION WOULD,
19 THEREFORE, NOT BE REQUIRED TO CONDUCT A SEPARATE
20 SCRO REVIEW.

21 SO CIRM RECOMMENDS INITIATING THE
22 RULEMAKING PROCESS TO ENABLE THIS REGULATORY CHANGE.
23 JAMES, WAS THAT SUFFICIENT FOR THE RECORD? THANK
24 YOU. ARE THERE ANY QUESTIONS?

25 DR. DULIEGE: IT SOUNDS OBVIOUSLY THE

BARRISTERS' REPORTING SERVICE

1 RIGHT THING TO DO TO SIMPLIFY THE PROCESS. HOWEVER,
2 WHAT ASSURANCE DO WE HAVE THAT THE IRB'S OF THESE
3 INSTITUTIONS HAVE ENOUGH KNOWLEDGE IN TERMS IN
4 PARTICULAR OF STEM CELL RESEARCH AS OPPOSED TO
5 GENERAL IRB?

6 DR. LOMAX: YES. IT'S A GOOD QUESTION.
7 SO UNDER THE FEDERAL COMMON RULE, FIRST AND
8 FOREMOST, THEY WERE OBLIGATED TO HAVE THAT EXPERTISE
9 IN THE REVIEW. SO IF THEY DO NOT HAVE IT SITTING ON
10 THEIR IRB, THEY ARE OBLIGATED TO BRING IN THAT
11 EXPERTISE THROUGH OUTSIDE EXPERTS. NOW, AS A
12 PRACTICAL MATTER, ALMOST ALL OUR GRANTEE
13 ORGANIZATIONS CONTRIBUTED TO THE DEVELOPMENT OF THIS
14 PROPOSAL, SO WE HAD A WORKSHOP LEADING UP TO THIS,
15 HAVE INDICATED THEY'RE NOT GOING TO CHANGE WHAT THEY
16 DO. WHAT THEY ACTUALLY DO IS THEY HAVE THEIR IRB
17 AND THEIR STEM CELL OVERSIGHT COMMITTEE MEET
18 SIMULTANEOUSLY BECAUSE THEY'VE DEVELOPED A LOCAL
19 LEVEL OF INTELLECTUAL KNOWLEDGE WITHIN THEIR
20 INSTITUTION. THEY DON'T WANT TO LOSE THAT. IT'S
21 JUST SIMPLY ON AN ADMINISTRATIVE COMPLIANCE BASIS,
22 IT ALLOWS THEM TO SORT OF UMBRELLA THAT PROCEDURE
23 UNDER THE IRB REVIEW AND APPROVAL PROCESS.

24 DR. DULIEGE: THANK YOU.

25 DR. LEVIN: SO I WASN'T AWARE THAT --

BARRISTERS' REPORTING SERVICE

1 APPARENTLY PEOPLE ARE DOING THIS, YOU SAY, RIGHT?
2 SO THAT MEANS THAT FEDERAL GOVERNMENT ALSO WILL
3 ALLOW COMBINATION OF SCRO AND IRB REVIEW FOR NIH
4 COMMITTEES, OR THIS WOULD ONLY BE FOR CIRM-FUNDED
5 ONES?

6 DR. LOMAX: SO TO BE CLEAR, THE
7 REQUIREMENT FOR A STEM CELL RESEARCH OVERSIGHT
8 COMMITTEE TO REVIEW A CLINICAL PROTOCOL IS A UNIQUE
9 REQUIREMENT OF CIRM. WE DECIDED EARLY ON IN THE
10 PROCESS, BECAUSE WE WROTE THESE RULES AT THE TIME
11 WHEN THERE WAS VERY LITTLE FEDERAL -- ACTUALLY THERE
12 WAS NO FEDERAL FUNDING, SO WE THOUGHT AS A
13 PRECAUTION WE SHOULD MAKE SURE THERE IS SOME EXTRA
14 CHECK ON CLINICAL RESEARCH. BUT NOW THAT THE FIELD
15 HAS MATURED AND CELL-BASED THERAPEUTICS ARE NOW
16 COMING INTO CLINICAL TRIALS, THE IRB PROCESS IS
17 ENTIRELY EFFECTIVE FROM MY PERSPECTIVE AND FROM THE
18 PERSPECTIVE OF OUR GRANTEES.

19 SO WHAT IT IS IS IT'S SORT OF REMOVING
20 SOMETHING THAT WE IMPLEMENTED AS A PRECAUTION AT THE
21 VERY BEGINNING GIVEN THE UNCERTAINTY OF THE FIELD AT
22 THE TIME WHEN WE WROTE OUR ORIGINAL REGULATIONS IN
23 2006.

24 DR. LEVIN: SO THIS IS AN EXTRA LEVEL OF
25 REVIEW ONLY THAT CIRM PUT IN?

BARRISTERS' REPORTING SERVICE

1 DR. LOMAX: CORRECT. IT'S AN EXTRA LEVEL
2 OF REVIEW UNIQUE TO CIRM.

3 CHAIRMAN THOMAS: SO DO I HEAR A MOTION
4 THAT WE PROCEED AS RECOMMENDED?

5 DR. LUBIN: I'LL MOVE IT.

6 CHAIRMAN THOMAS: MOVED BY DR. LUBIN.

7 DR. DULIEGE: SECOND.

8 CHAIRMAN THOMAS: SECONDED BY DR. DULIEGE.
9 ANY FURTHER COMMENT BY MEMBERS OF THE BOARD? ANY
10 PUBLIC COMMENT? OKAY. WE'LL DO THIS ON A VOICE
11 VOTE AS WELL. ALL THOSE IN FAVOR PLEASE SAY AYE.
12 OPPOSED? ABSTENTIONS? THOSE ON THE PHONE?

13 DR. FINE: AYE.

14 DR. WESTON: AYE.

15 CHAIRMAN THOMAS: THANK YOU. THAT IS
16 APPROVED UNANIMOUSLY AS WELL. THANK YOU VERY MUCH,
17 MR. LOMAX.

18 DR. LOMAX: THANK YOU FOR YOUR TIME.
19 THANK YOU, MR. CHAIRMAN.

20 CHAIRMAN THOMAS: OKAY. WE'RE NOW GOING
21 TO GO BACK TO ITEM NO. 7, WHICH IS THE CONSIDERATION
22 OF APPLICATIONS FOR RFA 12-06, CIRM STEM CELL
23 GENOMICS CENTER OF EXCELLENCE AWARDS. THIS
24 DISCUSSION WILL BE LED BY MR. YAFFE. MICHAEL,
25 PLEASE PROCEED.

BARRISTERS' REPORTING SERVICE

1 DR. YAFFE: THANK YOU, MR. CHAIRMAN,
2 MEMBERS OF THE BOARD, MEMBERS OF THE PUBLIC. I'M
3 HERE TO BRING FOR YOUR CONSIDERATION THE
4 RECOMMENDATIONS FROM THE GRANTS WORKING GROUP ON RFA
5 12-06, STEM CELL GENOMICS CENTER OF EXCELLENCE
6 AWARDS.

7 THE SEQUENCING OF THE HUMAN GENOME
8 COMPLETED TEN YEARS AGO YIELDED THE GENETIC
9 BLUEPRINT OF THE HUMAN CELL AND INITIATED AN
10 EXCITING AND UNPRECEDENTED ERA OF BIOMEDICAL
11 SCIENCE. GENOMICS IS THE QUEST TO UNDERSTAND THAT
12 BLUEPRINT. IT IS THE STUDY OF GROUPS OF GENES OR
13 THE ENTIRE COLLECTION OF AN ORGANISM'S GENES WITH A
14 FOCUS ON UNCOVERING THEIR INTERACTION AND EXPLAINING
15 THEIR COMBINED INFLUENCE ON GROWTH, DEVELOPMENT, AND
16 BIOLOGICAL FUNCTION.

17 GENOMICS IS ALSO A SET OF POWERFUL
18 EXPERIMENTAL APPROACHES AND TECHNIQUES FOR ANALYZING
19 THESE INTERACTIONS AND INCLUDES DNA SEQUENCING,
20 GENETIC MAPPING, AN ANALYSIS OF GENE EXPRESSION
21 ACROSS SETS OF GENES OR THE ENTIRE GENOME.

22 GENOMICS CAN PROVIDE NOVEL INSIGHTS INTO
23 MOLECULAR MECHANISM AND THE INTERPLAY OF GENETIC AND
24 ENVIRONMENTAL FACTORS IN DISEASE. THESE GENOMIC
25 APPROACHES HAVE TREMENDOUS POTENTIAL FOR

BARRISTERS' REPORTING SERVICE

1 ACCELERATING THE PACE AND EXPANDING THE SCOPE OF
2 STEM CELL RESEARCH.

3 TO FULLY EXPLOIT AND APPLY THESE POWERFUL
4 AND RAPIDLY EVOLVING TECHNOLOGIES, STEM CELL
5 SCIENTISTS NEED READY ACCESS TO GENOMICS EXPERTISE
6 AND OPPORTUNITIES FOR COLLABORATION WITH EXPERTS IN
7 GENOMICS, EXPERIMENTAL DESIGN, AND THE
8 BIOINFORMATICS ANALYSIS OF THE MASSES OF DATA
9 PRODUCED BY SUCH STUDIES.

10 THE AIM OF THIS INITIATIVE IS TO ESTABLISH
11 AND MAINTAIN IN CALIFORNIA A CENTER OF EXCELLENCE
12 FOR STEM CELL GENOMICS. THE GOALS OF THIS CENTER
13 WILL BE TO PROVIDE ADVANCED GENOMICS AND
14 BIOINFORMATICS RESOURCES FOR CALIFORNIA STEM CELL
15 RESEARCHERS. AND FURTHER, TO SUPPORT RESOURCE
16 INTENSIVE GENOMICS PROJECTS THAT WILL SUBSTANTIALLY
17 ADVANCE STEM CELL BIOLOGY AND THERAPEUTICS.

18 THESE CENTERS SHOULD ALSO FACILITATE THE
19 STANDARDIZATION, COORDINATION, HANDLING, AND
20 ANALYSIS OF GENOMIC DATA AND THE ADVANCE OF GENOMICS
21 TECHNOLOGY AND INFORMATICS APPLIED TO STEM CELL
22 RESEARCH.

23 THE GENOMICS CENTER AWARDS APPLICATION
24 PROCESS WAS OPEN TO BOTH FOR-PROFIT AND NONPROFIT
25 ORGANIZATIONS, AND PROPOSED CENTERS WERE MEANT TO

BARRISTERS' REPORTING SERVICE

1 AUGMENT AND INTERFACE WITH EXISTING GENOMICS AND
2 BIOINFORMATICS RESOURCES. WE WERE NOT ASKING FOR
3 THE CREATION DE NOVO OF NEW CENTERS, BUT, IN FACT,
4 AN AUGMENTATION, ELABORATION OF ESTABLISHED AND
5 EXISTING GENOMICS RESOURCES.

6 WE ENCOURAGED MULTIPLE INSTITUTIONAL
7 PROPOSALS AND INDICATED THAT A SIGNIFICANT
8 INSTITUTIONAL COMMITMENT WAS EXPECTED.

9 THE AWARD DETAILS AS APPROVED BY YOU IN
10 THE CONCEPT PROPOSAL WAS SUPPORT FOR UP TO FIVE
11 YEARS, THE FUNDING OF ONE OR UP TO TWO CENTERS, AND
12 TOTAL PROGRAM COSTS FOR THE ENTIRE INITIATIVE OF UP
13 TO \$40 MILLION.

14 APPLICATIONS FOR THIS PROGRAM HAD FOUR KEY
15 COMPONENTS. THE FIRST, CENTER ORGANIZATION AND
16 OPERATIONAL PLAN IN WHICH THE APPLICANTS DESCRIBE
17 THEIR ORGANIZATION, HOW IT WILL RUN, WHO THE
18 PARTICIPANTS ARE, AND OTHER ASPECTS OF THE OVERALL
19 ORGANIZATION. THE SECOND IS COLLABORATIVE RESEARCH
20 ACTIVITIES. AT LEAST 30 PERCENT OF THE FUNDING AND
21 ACTIVITY OF THESE CENTERS NEEDS TO BE COMMITTED TO
22 COLLABORATIVE RESEARCH AND COLLABORATIVE ACTIVITIES
23 TO SUPPORT CALIFORNIA STEM CELL RESEARCHERS.

24 THESE ACTIVITIES SHOULD PROVIDE STEM CELL
25 RESEARCHERS WITH ACCESS TO STATE-OF-THE-ART GENOMICS

BARRISTERS' REPORTING SERVICE

1 AND BIOINFORMATICS TECHNOLOGIES AND EXPERTISE AND
2 ASSISTANCE IN EXPERIMENTAL DESIGN AND DATA ANALYSIS.

3 A THIRD COMPONENT ARE CENTER-INITIATED
4 RESEARCH PROJECTS. APPLICANTS WERE REQUIRED TO
5 PROPOSE AT LEAST TWO AND COULD PROPOSE UP TO FOUR
6 DISTINCT PROJECTS. THESE PROJECTS SHOULD BE
7 CRITICAL, TRANSFORMATIVE, DATA INTENSIVE HUMAN
8 GENOMICS RESEARCH APPLIED TO STEM CELL BIOLOGY. AND
9 THEY COULD INCLUDE NOVEL TECHNOLOGY DEVELOPMENT
10 PROJECTS ADDRESSING MAJOR BOTTLENECKS IN STEM CELL
11 GENOMICS RESEARCH.

12 AND THE FOURTH COMPONENT OF THE
13 APPLICATION IS THE DATA COORDINATION AND MANAGEMENT
14 COMPONENT, ACTIVITIES TO PROVIDE INFRASTRUCTURE AND
15 EXPERTISE FOR STORAGE, TRANSFER, ASSEMBLY, AND
16 PUBLICATION OF DATA FROM STEM CELL GENOMICS PROJECTS
17 WITH, ADDITIONALLY, THE FOLLOWING CAVEAT, THAT IF
18 TWO AWARDS ARE MADE, ONLY ONE WOULD BE FUNDED FOR
19 THIS ACTIVITY.

20 I'D NOW LIKE TO BRIEFLY GO OVER THE REVIEW
21 CRITERIA USED BY GRANTS WORKING GROUP MEMBERS IN THE
22 ANALYSIS AND EVALUATION OF THESE APPLICATIONS, AND
23 THIS IS THE REVIEW CRITERIA WHICH WAS STATED IN THE
24 RFA.

25 FIRST, FOR CENTER ORGANIZATION AND

BARRISTERS' REPORTING SERVICE

1 ENDORSEMENT -- CENTER ORGANIZATION AND OPERATIONAL
2 PLAN, CENTER LEADERSHIP, THE WORKING GROUP ASKED THE
3 FOLLOWING QUESTIONS: WHETHER CENTER LEADERSHIP HAS
4 APPROPRIATE EXPERTISE AND EXPERIENCE WHERE THE
5 PROPOSED ORGANIZATION IS COHERENT AND WELL DEVELOPED
6 WITH WELL-DEFINED AND APPROPRIATE LEADERSHIP AND
7 OPERATIONAL RESPONSIBILITIES, WHETHER THE BUDGET IS
8 REALISTIC, APPROPRIATE, AND REFLECTS REASONABLE
9 COSTS, AND THE NATURE AND AMOUNT OF INSTITUTIONAL
10 SUPPORT, WHETHER IT IS SIGNIFICANT AND APPROPRIATE.

11 IN THE AREA OF THE COLLABORATIVE RESEARCH
12 ACTIVITIES, WHETHER APPROPRIATE AND WELL-DEVELOPED
13 PLANS FOR RECRUITING, EVALUATING, AND ACCEPTING
14 COLLABORATIVE PROJECTS ARE PROPOSED, IF PROGRAM
15 LEADERS HAVE EXPERIENCE IN COORDINATING LARGE
16 COLLABORATIVE PROJECTS, THE NATURE AND QUALITY OF
17 THE PLANS FOR EXECUTING COLLABORATIONS AND
18 COORDINATING CENTERS AND COLLABORATOR ACTIVITIES,
19 AND WHETHER THESE ARE WELL DEVELOPED, AND IF
20 ADEQUATE AND APPROPRIATE CENTER RESOURCES AND
21 PERSONNEL WILL BE AVAILABLE TO FACILITATE
22 COLLABORATIVE PROJECTS.

23 FOR THE CENTER-INITIATED RESEARCH
24 PROJECTS, EACH ONE WAS EVALUATED SEPARATELY. THEY
25 WERE EVALUATED BY THE FOLLOWING CRITERIA: FIRST,

BARRISTERS' REPORTING SERVICE

1 SIGNIFICANCE AND INNOVATION; SECOND, FEASIBILITY AND
2 EXPERIMENTAL DESIGN; AND, THIRD, PROJECT LEADERSHIP
3 AND RESEARCH TEAM.

4 AND IN THE AREA OF DATA COORDINATION AND
5 MANAGEMENT, IS THE LEADERSHIP AND OPERATIONAL TEAM,
6 DOES IT HAVE APPROPRIATE EXPERTISE AND EXPERIENCE?
7 IS THE EXISTING AND PROPOSED INFRASTRUCTURE
8 ADEQUATELY SUPPORTING THE NEEDED DATA CAPACITY? IS
9 PROPOSED TECHNOLOGY AND INFORMATICS PLATFORMS
10 SUFFICIENT IN SCOPE AND QUALITY? AND IS THE
11 PROPOSED STAFFING AND BUDGET APPROPRIATE AND
12 ADEQUATE?

13 AS MOST OF YOU KNOW, I'M BRINGING TO YOU
14 THE RESULTS OF A SECOND ROUND OF GRANTS WORKING
15 GROUP REVIEW OF GENOMICS APPLICATIONS. DURING THE
16 FIRST ROUND REVIEW, THE GRANTS WORKING GROUP DID NOT
17 RECOMMEND ANY OF THE APPLICATIONS FOR FUNDING. THEY
18 DID NOT SEND THEM FORWARD TO THE ICOC. WE TOOK THE
19 RESULTS OF THAT REVIEW AND THE SUGGESTIONS AND
20 CRITIQUES AND PROVIDED FEEDBACK TO THE APPLICANTS
21 AND INVITED ALL OF THOSE APPLICANTS FROM THE FIRST
22 ROUND TO SUBMIT NEW APPLICATIONS FOR A SECOND
23 REVIEW. APPLICANTS WERE ALLOWED TO CHANGE PROPOSAL
24 COMPONENTS, ESTABLISH NEW COLLABORATIONS, AND/OR
25 COMBINE EFFORTS. AND SEVERAL APPLICANTS FROM THE

BARRISTERS' REPORTING SERVICE

1 FIRST ROUND DID COMBINE EFFORTS AND BRING IN JOINT
2 APPLICATIONS.

3 FIVE APPLICATIONS WERE RECEIVED AND THESE
4 WERE REVIEWED BY THE GRANTS WORKING GROUP IN
5 NOVEMBER. THE APPLICATIONS WERE SCORED SEPARATELY
6 FOR THE OVERALL GENOMICS CENTER AND FOR THE DATA
7 COORDINATION AND MANAGEMENT COMPONENT. SO EACH
8 RECEIVED TWO SCORES.

9 ADDITIONALLY, REVIEWERS WERE INSTRUCTED
10 THAT THEY COULD PROPOSE REMOVAL OF INDIVIDUAL
11 CENTER-INITIATED PROJECTS. THIS WAS DONE CONSISTENT
12 WITH PREVIOUS AND ESTABLISHED PRACTICES. IT IS NOT
13 DISSIMILAR TO WHAT HAS HAPPENED IN A NUMBER OF RFA'S
14 WHERE THE GRANTS WORKING GROUP HAS RECOMMENDED A
15 PROJECT WITH THE DELETION OF A SPECIFIC AIM OR
16 CERTAIN GROUP OF ACTIVITIES AND APPROPRIATE CHANGES
17 IN THE BUDGET.

18 THAT CHANGE PROPOSAL TO REMOVE
19 CENTER-INITIATED PROJECTS WAS DONE BEFORE ANY
20 SCORING OF THE APPLICATION OCCURRED. IT WAS DONE
21 DURING THE DISCUSSION OF THAT SPECIFIC APPLICATION.

22 I SHOW YOU HERE THE RESULTS OF THE
23 SCORING. THEY SHOULD ALSO BE IN YOUR BOOKS. I
24 GUESS IT'S TAB 7. AND THERE ARE TWO TABLES HERE.
25 ONE IS FOR THE SCORES AND RANKING FOR THE GENOMICS

BARRISTERS' REPORTING SERVICE

1 CENTER AND THE SECOND FOR THE DATA COORDINATION AND
2 MANAGEMENT COMPONENT. WHAT YOU CAN SEE IS THE
3 REVIEWERS JUDGED THIS TO BE A PARTICULARLY STRONG
4 SET OF APPLICATIONS. REVIEWERS ASSIGNED SCORES
5 WHICH PLACED FOUR APPLICATIONS FOR GENOMIC CENTER IN
6 THE FUNDABLE TIER I. REVIEWERS ASSIGNED SCORES
7 WHICH PLACED TWO APPLICATIONS FOR THE DATA
8 COORDINATION AND MANAGEMENT COMPONENT IN THE
9 FUNDABLE TIER I. ADDITIONALLY, THE GRANTS WORKING
10 GROUP VOTED TO RECOMMEND THE TOP SCORING DATA
11 COORDINATION AND MANAGEMENT PROPOSAL FOR FUNDING.

12 THE BUDGET NUMBERS, WE CAN CLARIFY THAT.
13 THERE'S WHAT WAS APPLIED FOR AND THERE'S WHAT WAS
14 RECOMMENDED. SO THOSE NUMBERS MAY BE DIFFERENT, AND
15 WE CAN GET INTO THE DETAILS OF THAT.

16 I WOULD LIKE TO PRESENT NOW THE STAFF
17 RECOMMENDATION. AND WE RECOMMEND THE FUNDING OF
18 GC1R-6673 GENOMICS CENTER. THIS IS THE TOP SCORING
19 PROPOSAL.

20 WE RECOMMEND RETAINING CENTER-INITIATED
21 PROJECT NO. 2. THIS IS CONSISTENT WITH THE GRANTS
22 WORKING GROUP MINORITY RECOMMENDATION. THERE WAS A
23 VOTE TO REMOVE THIS CENTER-INITIATED PROJECT. A
24 MINORITY OF THE GRANTS WORKING GROUP VOTED TO RETAIN
25 IT. WE RECOMMEND RETAINING THIS PROJECT.

BARRISTERS' REPORTING SERVICE

1 WE RECOMMEND REMOVAL OF CENTER-INITIATED
2 PROJECT NO. 3, CONSISTENT WITH THE GRANTS WORKING
3 GROUP RECOMMENDATION.

4 WE FURTHER RECOMMEND FUNDING OF 6673'S
5 DATA COORDINATION AND MANAGEMENT COMPONENT, AND THIS
6 IS ALSO CONSISTENT WITH THE GRANTS WORKING GROUP
7 RECOMMENDATION.

8 THE TOTAL AWARD, BASED ON THE SCIENTIFIC
9 STAFF RECOMMENDATION, IS \$33.3 MILLION. THE AWARD,
10 BASED ON THE STRAIGHT GRANTS WORKING GROUP
11 RECOMMENDATION, WOULD BE \$27.8 MILLION. THE
12 APPLICATION REQUEST IS FOR 40 MILLION.

13 THE RATIONALE FOR OUR SUPPORT AND
14 RECOMMENDATION OF 6673 IS THAT, FIRST, THE PROPOSAL
15 RECEIVED TOP SCORES FOR BOTH GENOMICS CENTER AND THE
16 ASSOCIATED DATA COORDINATION AND MANAGEMENT
17 COMPONENTS. SECOND, THE PROGRAM INVOLVES
18 PARTICIPANTS AND COLLABORATORS FROM MULTIPLE
19 CALIFORNIA INSTITUTIONS AND ORGANIZATIONS WITH BROAD
20 GEOGRAPHICAL DISTRIBUTION. THERE ARE PARTICIPATING
21 INSTITUTIONS IN BOTH NORTHERN AND SOUTHERN
22 CALIFORNIA. AND, THIRD, THE APPLICATION FEATURES
23 OUTSTANDING INSTITUTIONAL COMMITMENT, INCLUDING
24 SUBSTANTIAL MATCHING FUNDS, SPACE COMMITMENT, AND
25 OTHER RESOURCES.

BARRISTERS' REPORTING SERVICE

1 OUR RATIONALE TO RECOMMEND INCLUSION OF
2 CENTER-INITIATED PROJECT NO. 2 IS THAT THIS PROJECT
3 WILL ADVANCE SINGLE CELL GENOMICS, A CUTTING-EDGE
4 TECHNOLOGY THAT CAN MAKE CRITICAL CONTRIBUTIONS TO
5 STEM CELL BIOLOGY. AND FURTHER, THIS PROJECT WILL
6 CONTRIBUTE SUBSTANTIALLY TO AN UNDERSTANDING OF
7 CELLULAR SUBPOPULATIONS, STEM CELL HETEROGENEITY,
8 AND CANCER STEM CELL GENOMICS.

9 THIS IS OUR RECOMMENDATION. I WOULD BE
10 HAPPY TO TAKE ANY OF YOUR QUESTIONS AND THANK YOU
11 FOR YOUR ATTENTION.

12 CHAIRMAN THOMAS: MICHAEL, BEFORE WE GET
13 TO FURTHER QUESTIONS, I HAVE A NUMBER THAT I WANT TO
14 KICK THINGS OFF WITH HERE. WE'VE HAD A NUMBER OF
15 ISSUES RAISED IN RECENT DAYS AND WOULD LIKE TO ASK
16 YOU WITH RESPECT TO SOME OF THEM JUST TO CLARIFY
17 BECAUSE THEY ARE ISSUES THAT OBVIOUSLY DESERVE A
18 RESPONSE.

19 SO FIRST QUESTION I WOULD HAVE FOR YOU IS
20 18 MONTHS HAVE PASSED SINCE THE RFA WAS ORIGINALLY
21 ISSUED. AND WE'VE HAD A LOT OF DISCUSSIONS IN THE
22 MEANTIME ABOUT CIRM'S STRATEGIC PRIORITIES AND THE
23 ALLOCATION OF OUR FUNDING. THAT WAS THE SUBJECT
24 MATTER, FOR EXAMPLE, OF AN ENTIRE DAY'S WORKSHOP IN
25 DECEMBER. IS THIS GENOMICS PROJECT STILL A

BARRISTERS' REPORTING SERVICE

1 STRATEGIC PRIORITY IN LIGHT OF THOSE DISCUSSIONS?

2 DR. YAFFE: I WOULD SUGGEST IT'S EVEN MORE
3 A PRIORITY TODAY THAN IT WAS TWO YEARS AGO. THE
4 POWER AND VERSATILITY OF GENOMICS ANALYSIS HAS ONLY
5 INCREASED OVER THE PAST TWO YEARS, AND THE TOOLS
6 BECOMING AVAILABLE ARE GOING TO PLAY CRITICAL ROLES
7 IN THE CHARACTERIZATION OF CELL LINES, SOME OF WHICH
8 WILL BE USED FOR THERAPY, THE IDENTIFICATION OF
9 BIOMARKERS AND THE ANALYSIS OF VARIABLE PATIENT
10 RESPONSES TO NOVEL THERAPIES, AND ALL THESE
11 ACTIVITIES ARE CRITICAL CONTRIBUTORS TO OUR
12 TRANSLATIONAL MISSION AND TO THE MOVEMENT OF STEM
13 CELL AND STEM CELL PRODUCTS INTO THE CLINIC.

14 CHAIRMAN THOMAS: THANK YOU. THERE HAVE
15 BEEN SOME ISSUES RELATED TO THE ELIMINATION OF
16 CERTAIN OF THE CENTER-INITIATED PROJECTS. YOU
17 COMMENTED ON THEM IN YOUR SCOPE HERE. COULD YOU
18 JUST ELABORATE A BIT ON THAT BECAUSE THERE SEEMS TO
19 BE A LACK OF UNDERSTANDING ON EXACTLY WHAT WAS
20 ALLOWED AND HOW THAT PROCESS WAS IMPLEMENTED.

21 DR. YAFFE: YES. THERE WAS LANGUAGE IN
22 THE RFA, WE COULD PROVIDE THAT LANGUAGE VERBATIM FOR
23 YOU IF YOU WOULD PREFER, THAT INDICATED THAT THE
24 GRANTS WORKING GROUP WOULD MAKE RECOMMENDATIONS
25 ABOUT THE FUNDING OF DIFFERENT CENTER-INITIATED

BARRISTERS' REPORTING SERVICE

1 PROJECTS, THAT THIS WOULD BE PART OF THEIR MESSAGE
2 AND RECOMMENDATION TO THE BOARD. THAT IS, ALL
3 APPLICATIONS WERE REQUIRED TO HAVE TWO CENTER -- AT
4 LEAST TWO CENTER-INITIATED PROJECTS. SOME OF THEM
5 PROPOSED THREE, SOME PROPOSED FOUR. WE ALLOWED AND
6 INSTRUCTED THE GRANTS WORKING GROUP REVIEWERS THAT
7 THEY COULD REMOVE A PROJECT IF THEY FELT THAT THIS
8 REMOVAL WOULD STRENGTHEN OVERALL THE PROGRAM THAT
9 WAS BEING PROPOSED.

10 CHAIRMAN THOMAS: AS YOU SAID, THAT'S
11 CONSISTENT WITH WHAT WE'VE DONE IN OTHER ROUNDS.

12 DR. YAFFE: ABSOLUTELY. WE HAVE SEEN
13 EXAMPLES WHERE REVIEWERS HAVE RECOMMENDED THE
14 DELETION OF A SPECIFIC AIM OR A SET OF ACTIVITIES
15 AND THEY'VE COMMUNICATED THAT TO YOU, AND IN SOME
16 CASES YOU'VE ACCEPTED THAT RECOMMENDATION AND
17 APPROVED AN AWARD AT A REDUCED BUDGET LEVEL WITH
18 REDUCED ACTIVITIES, AND SCIENTIFIC STAFF THEN WORKED
19 WITH THOSE APPLICANTS TO MAKE SURE THAT THE PROJECT
20 STILL HAD COHERENCE AND WAS CONSISTENT WITH THE
21 CONCERNS OF THE REVIEWERS AND THE SPECIFICATION THAT
22 THE BOARD MADE.

23 CHAIRMAN THOMAS: I WANT TO JUST FINISH
24 HERE, DR. LEVIN, IF I COULD. SO HOW DOES NIH DO
25 THIS? DO WE NEED TO FOLLOW WHAT THEY DO? ARE WE

BARRISTERS' REPORTING SERVICE

1 DIFFERENT FROM WHAT THEY DO? WHAT IS --

2 DR. YAFFE: WELL, WE'RE DIFFERENT FROM
3 NIH, ALTHOUGH CERTAINLY THERE ARE PARALLELS TO NIH.
4 BUT AT NIH THE STAFF DECIDE WHERE THE CUTOFF LINE IS
5 GOING TO BE FOR PAYMENT. THEY ALSO DECIDE WHAT THE
6 BUDGET IS GOING TO BE. SO THIS IS REALLY QUITE A
7 DIFFERENT SYSTEM WHERE YOU, OUR BOARD, ARE
8 RESPONSIBLE FOR MAKING ALL OF THE FUNDING DECISIONS.

9 CHAIRMAN THOMAS: SO I NOTICE THAT STAFF
10 HAS RECOMMENDED TO REINCLUDE ONE OF THE
11 CENTER-INITIATED PROJECTS BACK INTO THE OVERALL
12 PLAN. HOW WOULD THAT HAVE AFFECTED SCORING? HOW IS
13 IT AFFECTING SCORING NOW?

14 DR. YAFFE: WELL, WE HAVE NO WAY OF
15 KNOWING HOW THAT WOULD AFFECT SCORING. REALLY I
16 HAVE NO INFORMATION THAT WOULD LET ME KNOW HOW THAT
17 WOULD AFFECT SCORING. WE'RE RECOMMENDING THAT
18 PARTIALLY BASED ON PROGRAMMATIC REASONS, WHICH, IN
19 FACT, SHOULDN'T HAVE BEEN PART OF SCORING. AND
20 THOSE PROGRAMMATIC REASONS INCLUDE THE INCREDIBLE
21 VALUE OF THIS TECHNOLOGY THAT'S BEING DEVELOPED IN
22 THIS CENTER-INITIATED ACTIVITY AND ALSO THE
23 PROGRAMMATIC VALUE OF STUDYING AN AREA INVOLVING
24 CELL HETEROGENEITY AND CANCER STEM CELLS WHICH A
25 PARTICULAR FOCUS IS NOT WELL REPRESENTED IN OUR

BARRISTERS' REPORTING SERVICE

1 PORTFOLIO.

2 CHAIRMAN THOMAS: I NOTICE THERE'S
3 REFERENCE TO MATCHING FUNDS AS A CRITERION, ONE OF
4 THE REVIEW CRITERIA. I ASSUME ALL APPLICANTS WERE
5 APPRISED THAT MATCHING FUNDS WERE ONE THING THAT
6 WOULD BE CONSIDERED IN THE REVIEW?

7 DR. YAFFE: THERE WAS NO REQUIREMENT FOR
8 MATCHING FUNDS. THERE WAS A CLEAR STATEMENT THAT WE
9 EXPECTED SIGNIFICANT INSTITUTIONAL COMMITMENT. THIS
10 INSTITUTIONAL COMMITMENT COULD BE IN THE FORM OF
11 MATCHING FUNDS, SPACE, EQUIPMENT, OTHER KINDS OF
12 RESOURCES. THE GRANTS WORKING GROUP WAS INFORMED
13 THAT A KEY REVIEW CRITERION SHOULD BE THE AMOUNT AND
14 NATURE OF THE INSTITUTIONAL COMMITMENT. SOME
15 APPLICATIONS INCLUDED MATCHING FUNDS AS PART OF THAT
16 INSTITUTIONAL COMMITMENT, OTHERS DID NOT.

17 THE CASE OF THE APPLICATION WE'RE
18 RECOMMENDING HAD A LARGE AMOUNT OF MATCHING FUNDS IN
19 ADDITION TO A LOT OF OTHER IMPORTANT RESOURCES.

20 CHAIRMAN THOMAS: OKAY. IF I CAN JUST
21 SWITCH FOR ONE SECOND TO THE CENTER OF EXCELLENCE,
22 THE TOPIC OF RECOMMENDING ONLY ONE AWARD. SINCE WE
23 HAD IN THERE IT COULD BE ONE UP TO TWO, ETC., WHAT'S
24 THE RATIONALE HERE FOR JUST RECOMMENDING ONE?

25 DR. YAFFE: STAFF, FIRST OF ALL, BELIEVES

BARRISTERS' REPORTING SERVICE

1 THAT THE RECOMMENDED CENTER WILL FULFILL ALL OF THE
2 GOALS OF THE INITIATIVE, THAT IT INCLUDES ALL
3 ACTIVITIES THAT WERE SPECIFIED IN THE RFA AND THAT
4 WERE GOALS OF ESTABLISHING A CENTER OF EXCELLENCE.

5 SECOND, SO WE DON'T SEE A COMPELLING NEED
6 FOR A SECOND CENTER.

7 AND SECOND OR THIRD, THERE IS NOT
8 CURRENTLY IN THE BUDGET FOR THIS INITIATIVE ADEQUATE
9 FUNDS TO FUND TWO CENTERS AT A LEVEL WHERE THEY
10 COULD TRULY FUNCTION AS CENTERS OF EXCELLENCE.

11 CHAIRMAN THOMAS: SO YOU COULDN'T JUST --
12 WE HAVE FOUR IN THE FUNDABLE CATEGORY HERE. YOU
13 COULDN'T JUST AWARD 10 MILLION TO EACH AND HAVE THAT
14 AS A WORKING PROGRAM?

15 DR. YAFFE: WE FEEL IT WOULD BE
16 IMPRACTICAL TO DIVIDE THE FUNDS AMONG FOUR SEPARATE
17 APPLICANTS OR ENTITIES, THAT SUCH A DIVISION WOULD
18 NOT PRODUCE COHESIVE AND COHERENT GENOMICS CENTERS
19 OF EXCELLENCE. ADDITIONALLY, IT WOULD BE WASTEFUL,
20 IN OUR OPINION, BECAUSE THERE WOULD BE MANY
21 REDUNDANT ACTIVITIES.

22 CHAIRMAN THOMAS: OKAY. THOSE ARE ALL MY
23 QUESTIONS. SO LET'S MOVE -- YES, MR. HARRISON.

24 MR. HARRISON: I JUST WANTED TO REMIND
25 MEMBERS OF THE BOARD TO CONSULT THEIR CONFLICT LIST

BARRISTERS' REPORTING SERVICE

1 BEFORE ADDRESSING THIS SUBJECT. BECAUSE THIS
2 PROGRAM IS LIMITED TO ONE OR TWO AWARDS, IF YOU HAVE
3 A CONFLICT WITH RESPECT TO ANY APPLICATION, YOU'RE
4 CONFLICTED WITH RESPECT TO ALL OF THEM. AND GIVEN
5 THE FACT THAT THERE ARE A LOT OF COLLABORATING
6 INSTITUTIONS INVOLVED IN THESE APPLICATIONS, THERE
7 ARE A LOT OF CONFLICTS. SO I JUST ASK YOU TO
8 CONSULT YOUR LIST BEFORE RAISING YOUR HAND TO SPEAK.

9 DR. LUBIN: AND THAT RELATES TO
10 DISCUSSION, NOT JUST VOTING?

11 MR. HARRISON: CORRECT.

12 CHAIRMAN THOMAS: MR. JUELSGAARD.

13 DR. JUELSGAARD: SO, MICHAEL, I HAVE A
14 SERIES OF QUESTIONS. AND I'LL DO THESE IN A
15 PARTICULAR ORDER. I WANT TO START WITH THE PROCESS
16 FOR GRADING THESE APPLICATIONS THAT WAS FOLLOWED AT
17 THE GRANTS WORKING GROUP.

18 SO IF I UNDERSTOOD YOU CORRECTLY, IF THOSE
19 PROJECTS, FOR THE ONE THAT YOU'RE PROPOSING BE
20 APPROVED, IF THOSE PROJECTS HAD NOT BEEN RECOMMENDED
21 TO BE DELETED, BUT HAD REMAINED IN THE PROPOSAL AND
22 THEN GRADED, YOU DON'T KNOW IF THAT WOULD HAVE
23 CHANGED THE SCORE OR NOT; IS THAT RIGHT?

24 DR. YAFFE: WE HAVE NO WAY OF KNOWING
25 THAT.

BARRISTERS' REPORTING SERVICE

1 DR. SAMBRANO: SO I CAN MAYBE GIVE A
2 LITTLE FURTHER EXPLANATION ON THAT. THE WAY THE
3 SCORING OCCURRED DURING THE REVIEW, THIS WAS AT THE
4 END OF DISCUSSING ALL OF THE PROPOSALS. SO THEY
5 WERE GIVEN THE OPPORTUNITY TO REMOVE A PROJECT VIA A
6 MAJORITY VOTE. ONCE THEY DID THAT AND CONCLUDED
7 THAT THIS PORTION, THIS CENTER-INITIATED PROJECT, IS
8 REMOVED, THEN AT THAT TIME THEY COMPOSED A SCORE
9 WITHOUT THAT PROJECT. THERE WAS NO SCORE THAT WAS
10 COMPOSED WITH THAT PROJECT THAT WAS OTHERWISE
11 REMOVED IN IT. SO WE DON'T KNOW WHAT THAT SCORE
12 WOULD BE.

13 AND THIS WAS NOT DONE AS PART OF A
14 FORMULA, BUT RATHER THE WAY WE ASKED REVIEWERS TO
15 COMPOSE THE SCORE WAS TO WEIGHT THE DIFFERENT
16 ELEMENTS TO CONSIDER, FOR EXAMPLE, THE BUDGET
17 CONTRIBUTION TO EACH OF THE CENTER-INITIATED
18 PROJECTS, WEIGHT THAT ALONG WITH EACH OF THE
19 ELEMENTS. SO IT WOULD BE IMPOSSIBLE FOR US TO KNOW
20 WHAT THE SCORE WOULD BE OTHERWISE.

21 DR. TROUNSON: SO THE OVERALL SCORE, JUST
22 TO CLARIFY, WAS NOT AN AVERAGE OF ALL THE MARKS. IT
23 DIDN'T WORK LIKE THAT. SO THEY MADE A JUDGMENT ON
24 THE WHOLE PROGRAM. SO YOU COULDN'T GET IT FROM
25 AVERAGING THE MARKS THAT WERE GIVEN FOR THE OTHER

BARRISTERS' REPORTING SERVICE

1 COMPONENTS.

2 DR. JUELSGAARD: SO BECAUSE I THINK THIS
3 IS A REALLY IMPORTANT ISSUE TO REALLY BE CLEAR
4 ABOUT, WHAT I'D LIKE TO DO IS IF YOU WOULD PUT UP
5 THE EXACT LANGUAGE FROM THE RFA ON HOW THE GWG IS TO
6 GO ABOUT SCORING THESE, ASSESSING THEM, PLEASE.
7 THAT'S NOT THE ONES I'M THINKING OF.

8 DR. YAFFE: WELL, THE LOWER QUOTE IS
9 DIRECTLY FROM THE RFA.

10 DR. JUELSGAARD: NO. I UNDERSTAND THAT,
11 BUT THERE ARE TWO OTHER PARTS OF THE RFA THAT
12 PRECEDE THAT THAT I THINK ARE IMPORTANT TO FOCUS ON
13 AS WELL. I WANT THE BOARD TO REALLY UNDERSTAND WHAT
14 WAS IN THE RFA VERSUS OR VIS-A-VIS WHAT WAS DONE.

15 MS. BONNEVILLE: DO YOU WANT IT UP ON THE
16 SCREEN?

17 DR. JUELSGAARD: YES. YES. I WANT PEOPLE
18 TO BE ABLE TO SEE IT.

19 DR. YAFFE: WE MAY HAVE TO CHANGE
20 COMPUTERS.

21 DR. JUELSGAARD: MARIA, MAYBE THE EASIEST
22 THING. I HAD SENT JAMES A COPY OF THE -- WE CAN
23 SEND IT VIA EMAIL TO ALL OF THE MEMBERS IN THE ROOM
24 HERE, AND WE CAN JUST LOOK AT IT THAT WAY AS OPPOSED
25 TO WHAT SEEMS TO BE A LARGE EFFORT TO TRY AND

BARRISTERS' REPORTING SERVICE

1 PROJECT IT.

2 (PAUSE IN PROCEEDINGS.)

3 DR. JUELSGAARD: SO THIS IS THE FIRST
4 MENTION IN THE RFA OF HOW PROPOSALS ARE TO BE
5 SCORED. AND IF YOU WERE TO READ THROUGH THAT AND
6 JUST STOP AT THE END OF IT, YOU WOULDN'T COME TO THE
7 CONCLUSION THAT, IN ESSENCE, YOU COULD ELIMINATE
8 PROJECTS AS PART OF THE SCORING PROCESS. AND,
9 AGAIN, YOU DON'T KNOW WHETHER OR NOT THEY
10 DID -- WHETHER OR NOT THE ELIMINATION OF PROJECTS IN
11 THEIR MIND AFFECTED THE SCORING, BUT NONETHELESS, IT
12 MAY HAVE.

13 I'M NOT HERE TO SUGGEST THAT SOMETHING
14 INAPPROPRIATE WAS DONE, BUT I JUST WANT PEOPLE TO BE
15 AWARE OF THE LANGUAGE AND WHAT IT SAID. AND SO SOME
16 PEOPLE MAY HAVE TAKEN AWAY A DIFFERENT VIEW OF HOW
17 THIS WAS GOING TO WORK THAN OTHERS. AND THAT'S THE
18 ONLY POINT THAT I WANT TO MAKE.

19 SO, NOW, THERE'S ANOTHER SLIDE AFTER THIS
20 ONE THAT ADDS A COUPLE OF MORE POINTS TO THIS. SO
21 ANYWAY, I THINK FOR ME -- THIS IS THE COMPLETE
22 LANGUAGE THAT I WAS ABLE TO FIND IN THE RFA THAT
23 DEALT WITH HOW PROPOSALS ARE SCORED. THERE MAY BE
24 OTHERS, BUT I DIDN'T SEE THEM. AND, AGAIN, IT'S
25 JUST TO MAKE SURE THAT EVERYBODY UNDERSTANDS WHAT

BARRISTERS' REPORTING SERVICE

1 PEOPLE WERE LOOKING AT AND WHY SOME PEOPLE MAY HAVE
2 VIEWED THE PROCESS DIFFERENTLY THAN OTHERS.

3 I'M NOT HERE TO ARGUE THAT THE PROCESS WAS
4 DONE INCORRECTLY, SIMPLY JUST SO THAT WE'RE OPEN
5 ABOUT THIS ISSUE AND EVERYBODY IS AWARE OF WHAT WAS
6 SAID AND WHAT WAS DONE.

7 DR. SAMBRANO: I MEAN IF I CAN MAYBE MAKE
8 REFERENCE TO SOME OF THESE. THE FIRST ONE THAT YOU
9 SHOW, FOR EXAMPLE, IS REALLY RELATED TO PROGRAMMATIC
10 REVIEW, WHICH USED TO OCCUR AT THE GRANTS WORKING
11 GROUP AND NOW OCCURS HERE. AND THAT'S ESSENTIALLY
12 WHAT THAT IS DIRECTLY REFERENCING. AND SO WHEN THE
13 RFA WAS CRAFTED, IT WAS PRIOR TO WHEN WE HAD
14 BASICALLY ADOPTED THE NEW SYSTEM WHERE WE DO ONLY
15 THE SCIENTIFIC REVIEW AT THE GRANTS WORKING GROUP.
16 SO CERTAINLY WHEN WE HAD THIS REVIEW, THE RFA DID
17 NOT REFLECT THOSE CHANGES. SO A LOT OF THE LANGUAGE
18 IS ALSO LOOKING BACK AT A DIFFERENT TIME.

19 DR. JUELSGAARD: SO THEN THE SECOND MAJOR
20 ISSUE THAT'S BEEN RAISED, AND I'LL JUST GO THROUGH
21 THIS AND I'LL BE DONE, WAS THE ISSUE OF PROVIDING
22 FINANCIAL SUPPORT FROM INSTITUTIONS THAT ARE
23 INVOLVED. AND, AGAIN, THERE WERE STATEMENTS THAT
24 WERE MADE IN THE RFA. AND SO THE NEXT TWO SLIDES
25 ADDRESS THE KINDS OF SUPPORT THAT CIRM WAS

BARRISTERS' REPORTING SERVICE

1 DISCUSSING.

2 THERE'S, I THINK, ONE MORE, MICHAEL, IF
3 PEOPLE ARE DONE WITH THAT. SO, AGAIN, WHILE NO
4 SPECIFIC REFERENCE IS MADE TO FINANCIAL COMMITMENT,
5 IT DOESN'T SAY ANYWHERE IN THERE THAT THERE'S AN
6 EXPECTATION THAT THERE WOULD BE ANY. CLEARLY THE
7 LANGUAGE, AT LEAST FROM MY POINT OF VIEW, IS WRITTEN
8 BROADLY ENOUGH THAT IF SOMEBODY WANTED TO COMMIT
9 FINANCIALLY, THEY WERE FREE TO DO THAT. AND IF THAT
10 IMPACTED THE DECISION, THEN IT IMPACTED THE
11 DECISION. BUT, AGAIN, I CAN UNDERSTAND WHY SOME
12 PEOPLE FELT THAT PERHAPS WE WERE LOOKING AT
13 SOMETHING THAT REALLY WASN'T A PART OF THE RFA.

14 AND SO, AGAIN, IT'S SORT OF A DIFFERENCE
15 IN INTERPRETATION ISSUES THAT I THINK HAVE GIVEN
16 RISE TO SOME OF THE CONCERNS. AND I WANTED TO,
17 BECAUSE I WILL PROBABLY TALK ABOUT THEM A BIT MORE,
18 SO I WANTED AT LEAST THIS GROUP TO BE ON THE SAME
19 PAGE AS TO WHAT WE SAID WITH RESPECT TO WHATEVER
20 DISCUSSION COMES.

21 DR. FRIEDMAN: CAN I ASK THAT WE GO BACK
22 TO THE PREVIOUS SLIDE JUST FOR A MOMENT? SO, STEVE,
23 AS I READ THAT FIRST BULLET THERE, IT SEEMS A BIT
24 CLEARER TO ME THAN AT LEAST YOU'RE PORTRAYING IT.
25 IT SAYS THE QUALITY AND AMOUNT OF INSTITUTIONAL

BARRISTERS' REPORTING SERVICE

1 SUPPORT WILL BE A KEY CONSIDERATION. THAT'S NOT
2 QUITE AS AMBIGUOUS AS SOME OF THE OTHER POINTS YOU
3 MADE EARLIER, WHICH I DO AGREE WITH YOU. THAT WAS A
4 LITTLE MORE AMBIGUOUS. THIS ONE SEEMS PRETTY
5 STRAIGHTFORWARD.

6 DR. JUELSGAARD: NO. I'M NOT HERE TO
7 ARGUE, MICHAEL, WHETHER IT'S AMBIGUOUS OR NOT, BUT I
8 DO THINK THAT, BECAUSE IT DOESN'T USE THE WORD
9 "FINANCIAL," SOME PEOPLE MAY JUST HAVE NOT EVEN
10 CONSIDERED THAT OR NOT. I DON'T KNOW. BUT ANYWAY,
11 AGAIN, IT'S JUST BEING VERY CLEAR AS TO WHAT WE
12 SAID.

13 DR. FRIEDMAN: FAIR ENOUGH.

14 DR. JUELSGAARD: BECAUSE IF A DISCUSSION
15 DOES DEVELOP AROUND THIS, THEN WE KNOW WHAT WE SAID.

16 DR. FRIEDMAN: THANK YOU.

17 CHAIRMAN THOMAS: DR. YAFFE.

18 DR. YAFFE: I JUST WANT TO SUGGEST THAT
19 OTHER TYPES OF SUPPORT COULD HAVE BEEN PROVIDED AND
20 COMMITTED BY THE APPLICANT INSTITUTION, SUCH AS
21 SPACE, ADDITIONAL RESOURCES, EQUIPMENT, PERSONNEL,
22 SALARIES. THERE WERE LOTS OF TYPES OF SUPPORT THAT
23 COULD BE COMMITTED. SOME OF THE APPLICATIONS DID
24 INCLUDE THOSE FEATURES, SOME DID NOT.

25 DR. JUELSGAARD: JUST ONE MORE QUESTION,

BARRISTERS' REPORTING SERVICE

1 DR. TROUNSON. SO I ASKED AHEAD OF THIS IF THE
2 INSTITUTIONS THAT ARE OR INSTITUTIONS THAT ARE BEING
3 RECOMMENDED FOR APPROVAL, IF THEY WOULD BE WILLING
4 TO DISCLOSE THE AMOUNTS OF MONEY THAT THEY WERE
5 WILLING TO PUT INTO THIS PROJECT. AND AS I
6 UNDERSTAND IT FROM MR. HARRISON, THEY'RE AGREEABLE
7 TO DOING THAT, AND YOU HAVE THOSE FIGURES. SO IF
8 YOU COULD PERHAPS PROVIDE THEM TO THIS GROUP, THEN
9 WE WOULD HAVE THAT ADDITIONAL INFORMATION AVAILABLE
10 TO US.

11 DR. YAFFE: SO FOR THE APPLICANT -- FOR
12 THE APPLICATION 6673, JUST TO REMIND YOU IS THE ONE
13 THAT WE'RE RECOMMENDING FOR YOUR FUNDING, THERE'S AN
14 INSTITUTIONAL COMMITMENT OF \$4.7 MILLION. AND THIS
15 REPRESENTS, IN FACT, FINANCIAL CONTRIBUTIONS FROM
16 FOUR DISTINCT INSTITUTIONS.

17 DR. JUELSGAARD: THANK YOU.

18 DR. YAFFE: I COULD GIVE YOU THE NUMBERS
19 ON THE REST. I SHOULD SAY THAT THESE AMOUNTS WERE
20 COMMUNICATED IN A LETTER FROM AN INSTITUTIONAL
21 OFFICIAL, WHICH WAS A REQUIREMENT OF THE
22 APPLICATION, INCLUDED IN THE APPLICATION PACKAGE
23 THAT WAS REVIEWED BY THE GRANTS WORKING GROUP.
24 THESE ARE LETTERS WRITTEN BY OFFICIALS AT THE
25 INSTITUTION WHO ARE PRESUMABLY EMPOWERED TO MAKE

BARRISTERS' REPORTING SERVICE

1 COMMITMENTS OF FINANCIAL SUPPORT, SPACE, AND OTHER
2 KINDS OF RESOURCES.

3 IN APPLICATION 6708, THERE WAS A
4 COMMITMENT TO USE EXISTING GENOMICS FACILITIES.
5 THERE WAS NO COMMITMENT OF FUNDS.

6 APPLICATION 6709, THERE WAS AN INDICATION
7 TO USE SPACE -- EXISTING SPACE IN THE PI'S
8 LABORATORY. THERE WAS NO COMMITMENT OF NEW MONEY.

9 APPLICATION 6702, THERE WAS A COMMITMENT
10 OF \$1.8 MILLION, REPRESENTING CONTRIBUTIONS FROM TWO
11 DIFFERENT PARTICIPATING INSTITUTIONS.

12 AND THE LAST APPLICATION IS NOT
13 RECOMMENDED.

14 CHAIRMAN THOMAS: OTHER QUESTIONS FROM
15 MEMBERS? MR. PANETTA.

16 MR. PANETTA: THANK YOU. I'VE GOT A FEW
17 QUESTIONS, AND THEN I'D LIKE TO COMMENT AS WELL,
18 MICHAEL. THANKS FOR THE PRESENTATION. AND THERE
19 ARE SOME THINGS THAT ARE A LITTLE UNCLEAR TO ME THAT
20 I'D LIKE TO UNDERSTAND A LITTLE BIT MORE.

21 FIRST OF ALL, YOU MENTIONED THAT YOU CAME
22 TO THE CONCLUSION THAT IT WOULD PROBABLY NOT BE
23 PRACTICAL TO SPLIT FUNDING AND THAT IT WOULD NOT
24 ADEQUATELY FUND BOTH PROPOSED -- TWO PROPOSED
25 INSTITUTES. SO I'D LIKE TO UNDERSTAND. IS THERE A

BARRISTERS' REPORTING SERVICE

1 BUDGET FOR THIS, OR IS THAT BEING DETERMINED BASED
2 ON THE PROPOSALS THAT WERE MADE?

3 DR. YAFFE: WELL, THE BUDGET FOR THE
4 OVERALL PROPOSAL, WHICH, OF COURSE, IS ENTIRELY UP
5 TO YOU, WAS ORIGINALLY SET AT \$40 MILLION. IF YOU
6 WOULD LIKE TO RAISE THE BUDGET TO 160 MILLION, WE
7 COULD FUND ALL FOUR PROPOSALS.

8 MR. PANETTA: SO WHAT YOU'RE SAYING
9 BASICALLY IS THAT IF YOU WERE TO SPLIT THIS INTO TWO
10 CENTERS AT \$20 MILLION APIECE OR WE COULD
11 POTENTIALLY RAISE THAT IF WE WANTED TO TO \$50
12 MILLION AND FUND TWO COLLABORATIVELY, WE HAVE THE
13 OPTION TO DO THAT IF WE --

14 DR. YAFFE: YOU CERTAINLY HAVE THE OPTION
15 TO DO THAT. WE DON'T BELIEVE THAT WOULD BE THE MOST
16 EFFECTIVE USE OF CIRM'S FUNDS.

17 MR. PANETTA: OKAY. ONE THING THAT STRUCK
18 ME IN THE CRITERIA, THE ELIGIBILITY CRITERIA, IN THE
19 APPLICATION COMPONENTS WAS THE REPEATED REFERENCE TO
20 INTERFACE WITH EXISTING GENOMICS AND BIOINFORMATICS
21 RESOURCES AND COLLABORATIVE RESEARCH ACTIVITIES.
22 AND I NOTICE THAT IN THE PROPOSAL 06709 THERE WAS A
23 SPECIFIC REFERENCE TO A COLLABORATION OR
24 COLLABORATIONS BY SCRIPPS WITH ILLUMINA, THE LEADING
25 SEQUENCING GENOMICS COMPANY, AND WITH THE JC VENTER

BARRISTERS' REPORTING SERVICE

1 INSTITUTE. WAS THERE A SPECIFIC PROPOSAL TO
2 COLLABORATE IN ON 6703 OR --

3 DR. YAFFE: YES, THERE IS. AND THERE ARE
4 SEVEN INSTITUTIONS AND ORGANIZATIONS, ONE OF WHICH
5 IS A COMPANY, THAT ARE PART OF THAT APPLICATION.
6 THE APPLICANT IS HERE. I'M NOT SURE I CAN REVEAL
7 THE IDENTITIES OF ALL OF THE PARTICIPATING
8 INSTITUTIONS, BUT HE MAY CHOOSE TO.

9 MR. PANETTA: AND THEN, AGAIN, I'M JUST
10 CURIOUS, AS A NEW MEMBER OF THE COMMITTEE, ON
11 PROCEDURE HERE AS TO WHY WE WOULD NOT SPECIFICALLY
12 MENTION THE OPPORTUNITY TO CONTRIBUTE MATCHING
13 FUNDS. IT JUST SEEMS STILL THAT IT'S A LITTLE BIT
14 AMBIGUOUS TO ME THAT WE WOULDN'T HAVE ASKED FOR THAT
15 OR AT LEAST SUGGESTED THAT THAT WAS A CRITERIA.

16 DR. YAFFE: WE DIDN'T DO THAT IN THIS ONE.
17 PERHAPS WE SHOULD HAVE.

18 CHAIRMAN THOMAS: DR. TROUNSON.

19 DR. TROUNSON: SO JUST TO TRY MAYBE HELP
20 TO SLIGHTLY CLARIFY THIS. I MET WITH ALL OF THE
21 GROUPS THAT ACTUALLY REPLIED AFTER THE FIRST ROUND.
22 AS YOU REALIZE IN THE FIRST ROUND, THERE WAS NO
23 RECOMMENDATION TO FUND. SO I SPOKE TO THEM ALL
24 EXCEPT STANFORD. BUT AT THAT TIME THE SALK WAS
25 OPERATED FROM STANFORD, SO I SPOKE TO JOE ECKER AND

BARRISTERS' REPORTING SERVICE

1 THE LEADERSHIP AT THE SALK. SO I TALKED TO ALL OF
2 THOSE PEOPLE AND VERY STRONGLY INDICATED, NO. 1, WE
3 WOULD NOT BE BUILDING BUILDINGS. SO WE WEREN'T
4 GOING TO PUT INTO BRICKS AND MORTAR, THAT THE
5 CONTRIBUTIONS FROM THE INSTITUTIONS WERE REALLY
6 CRITICAL, AND THAT INCLUDED ALL OF THOSE THINGS,
7 INCLUDING FINANCE.

8 SO SPACE WAS IMPORTANT BECAUSE THEY'D HAVE
9 TO DONATE SPACE BECAUSE WE WEREN'T BUILDING
10 BUILDINGS, AND THAT THE CONTRIBUTIONS BY STAFF AND
11 EQUIPMENT AND FINANCE WAS REALLY IMPORTANT FOR THE
12 APPLICATIONS, AND THAT I EMPHASIZED THAT I THOUGHT
13 THERE WAS SOME BENEFIT FROM COLLABORATIONS ACROSS
14 INSTITUTIONS. AND I THINK WE SAW SOME MAJOR
15 RESHUFFLING OF THE APPLICATIONS AS A RESULT OF THAT.

16 SO I GAVE THEM THAT INPUT, ALL OF THOSE
17 PEOPLE THAT REAPPLIED EXCEPT FOR STANFORD. AND SO
18 THEY WERE AWARE FROM THAT DISCUSSION THAT COMPONENT
19 INCLUDED THE ABILITY TO INPUT INSTITUTIONAL OR ALL
20 FUNDS OR SPACE TO DO WITH THIS CENTER BECAUSE WE
21 WEREN'T -- WE WERE LIMITED TO A DEGREE WITH THE
22 FUNDING. THERE'S A LIMITATION. A LOT OF PEOPLE
23 THOUGHT THAT 40 MILLION WAS REALLY NOT A LARGE
24 AMOUNT FOR A GENOMICS SPECIALTY CENTER, AND THAT
25 THERE WOULD HAVE TO BE ANCILLARY FUNDING FROM OTHER

BARRISTERS' REPORTING SERVICE

1 SOURCES. SO THAT WAS PART OF THE DISCUSSION.

2 MR. PANETTA: THANKS, ALAN. WELL, I WOULD
3 JUST URGE THE OVERSIGHT COMMITTEE TO THINK ABOUT
4 BEING VISIONARY HERE WITH RESPECT TO THE STRENGTH
5 THAT WE HAVE IN CALIFORNIA IN GENOMICS IN BOTH THE
6 NORTH AND THE SOUTH. AND I THINK THE STANFORD
7 PROPOSAL IS OBVIOUSLY AN EXCELLENT PROPOSAL, BUT THE
8 FACT THAT WE'VE GOT SOMETHING UNIQUE HERE THAT YOU
9 REALLY DON'T SEE ANYWHERE ELSE, AND THIS IS THE
10 ABILITY TO TAKE ADVANTAGE OF GENOMICS STRENGTH IN
11 NORTHERN CALIFORNIA AND IN SOUTHERN CALIFORNIA, AND
12 LOOKING AT THE STRENGTH OF THE SCRIPPS PROPOSAL AND
13 THE COLLABORATIVE NATURE WITH SOME VERY HIGHLY
14 RENOWNED INSTITUTIONS SUCH AS JCVI AND THE
15 OPPORTUNITY TO COLLABORATE WITH ILLUMINA REALLY
16 CREATES A UNIQUENESS THAT TO ME WOULD SAY THAT MAYBE
17 WE OUGHT TO CONSIDER DOING MORE THAN ONE OF THESE
18 AND TAKING A LOOK AT HOW WE MIGHT BE ABLE TO FUND
19 BOTH OF THEM AS WELL BECAUSE AT THE SAME TIME HAVING
20 TWO OF THESE COULD SYNERGIZE THE OPPORTUNITY FOR US
21 TO MOVE FORWARD AS WELL.

22 CHAIRMAN THOMAS: CAN I JUST, DR. YAFFE,
23 JUST A POINT OF CLARIFICATION TO YOU. IT IS CORRECT
24 THAT THIS PROPOSAL DOES HAVE SIGNIFICANT STATEWIDE
25 GEOGRAPHICAL DIVERSITY; IS THAT CORRECT?

BARRISTERS' REPORTING SERVICE

1 DR. YAFFE: ABSOLUTELY. AND IF THE
2 APPLICANT APPROVES, I'LL TELL YOU WHO THE OTHER
3 PARTICIPATING INSTITUTIONS ARE. IN ADDITION TO
4 STANFORD, WHICH IS THE PI INSTITUTION, THE SALK
5 WHICH IS THE CO-PI INSTITUTION, IT ALSO INCLUDES
6 UCSD, THE VENTER INSTITUTE IN SAN DIEGO, ILLUMINA,
7 SCRIPPS, AND UC SANTA CRUZ. SO ALL OF THOSE
8 INSTITUTIONS ARE PARTICIPATING IN THE STANFORD
9 APPLICATION.

10 MR. PANETTA: THANKS FOR CLARIFYING THAT.

11 CHAIRMAN THOMAS: DR. BURTIS.

12 DR. BURTIS: JAMES, AM I CLEAR? SO I
13 THINK ONE OF THE THINGS THAT GIVES US PAUSE HERE, OF
14 COURSE, IS THAT GENERALLY HISTORICALLY THIS GROUP,
15 WHEN THINGS ARE IN THE FUNDABLE RANGE, THEY GET
16 FUNDED TO THE EXTENT THAT WE'RE ABLE. AND SO THIS
17 IS A BIT OF A UNIQUE SITUATION. AND WE ALWAYS HATE
18 TO LEAVE GOOD SCIENCE ON THE TABLE IF IT'S POSSIBLE
19 TO INCLUDE IT. BUT I THINK IT MIGHT BE USEFUL FOR
20 THOSE OF US LIKE MYSELF THAT WERE ALTERNATES IN THE
21 PAST AND FOR SOME OF THE NEW MEMBERS OF THE BOARD,
22 YOU SPOKE AT THE BEGINNING, MICHAEL, ABOUT THE
23 OVERRIDING GOAL TO CREATE A CENTER BEYOND JUST THE
24 SCIENCE THAT WAS INVOLVED. AND YET I FEEL SOME
25 TENSION THERE BECAUSE WE ALL ARE INTERESTED IN THE

BARRISTERS' REPORTING SERVICE

1 SCIENCE AND GETTING THE BEST SCIENCE DONE FOR THE
2 STATE.

3 SO COULD YOU REFRESH A LITTLE BIT AND
4 SPEAK AGAIN TO WHATEVER WAS IN THE ORIGINAL
5 DISCUSSIONS ABOUT WHY THAT WAS AN OVERRIDING GOAL
6 EVEN BEYOND THE INDIVIDUAL PIECES BECAUSE IT'S SO
7 DIFFERENT FROM WHAT WE USUALLY DO?

8 DR. YAFFE: YES. WE WANTED TO CREATE A
9 RESOURCE. SO WE'VE CREATED A NUMBER OF RESOURCES,
10 WE BEING CIRM AND YOU, HAVE CREATED A NUMBER OF
11 RESOURCES, INCLUDING SHARED LABS WHICH ARE
12 LABORATORIES AT A NUMBER OF INSTITUTIONS WHERE
13 DIFFERENT RESEARCHERS CAN COME, USE THE FACILITY,
14 GAIN TRAINING, HAVE ACCESS TO EXPERTISE. AND THAT'S
15 THE KIND OF THING WE WANTED TO ALSO CREATE FOR
16 GENOMICS AND STEM CELL RESEARCHERS.

17 SO THE COLLABORATIVE COMPONENT OF THIS IS
18 ESSENTIAL AND KEY. WE REQUIRED THEM TO COMMIT AT
19 LEAST 30 PERCENT OF THEIR BUDGET AND ACTIVITIES FOR
20 THESE COLLABORATIVE ACTIVITIES. WHAT ARE THE
21 COLLABORATIVE ACTIVITIES? RESEARCHERS FROM ANY
22 UNIVERSITY OR COMPANY OR INSTITUTE IN CALIFORNIA CAN
23 SUBMIT A PROPOSAL FOR A COLLABORATIVE GENOMICS STEM
24 CELL PROJECT. AND IF KEY CRITERIA ARE MET, THOSE
25 PROJECTS WILL GO ON AT THESE CENTERS OR IN

BARRISTERS' REPORTING SERVICE

1 COLLABORATION WITH THESE CENTERS, MAKE AVAILABLE
2 EXPERTISE, EQUIPMENT, FACILITIES, TECHNIQUES.
3 THAT'S ONE OF THE COMMITMENTS THAT EACH OF THESE
4 APPLICANTS IS MAKING. AND, IN FACT, SOME OF THESE
5 EXCELLENT PROJECTS FROM WHAT MAY BE THE NONFUNDED
6 APPLICANTS COULD ENTER AND STILL BE PART OF THIS
7 ACTIVITY THROUGH THIS COLLABORATIVE MECHANISM.

8 DR. BURTIS: THANK YOU.

9 MR. TORRES: WOULD YOU REPEAT THE LIST OF
10 INSTITUTIONS AGAIN?

11 DR. YAFFE: YES. STANFORD UNIVERSITY, THE
12 SALK INSTITUTE, UC SAN DIEGO, THE VENTER INSTITUTE
13 IN SAN DIEGO, ILLUMINA CORPORATION, SCRIPPS RESEARCH
14 INSTITUTE, AND THE UNIVERSITY OF CALIFORNIA SANTA
15 CRUZ.

16 MR. TORRES: GO SLUGS. NOW, ILLUMINA IS
17 IN SAN DIEGO AS WELL?

18 DR. YAFFE: YES.

19 CHAIRMAN THOMAS: MR. JUELSGAARD.

20 DR. JUELSGAARD: SO JUST SO I'M CLEAR,
21 ILLUMINA PARTICIPATED IN TWO DIFFERENT APPLICATIONS?

22 DR. YAFFE: YES. AND THAT WAS ENTIRELY
23 PERMITTED. THEY COULDN'T COME IN AS A PI OR A CO-PI
24 ON TWO DIFFERENT APPLICATIONS, BUT AS A
25 COLLABORATOR, POTENTIAL SUBCONTRACTOR THEY COULD.

BARRISTERS' REPORTING SERVICE

1 DR. JUELSGAARD: I THINK IT'S IN THE
2 LETTERS THAT HAVE BEEN SENT, BUT WHO WAS THEIR
3 COLLABORATOR IN THE OTHER APPLICATION, THE ONE THAT
4 YOU'RE NOT RECOMMENDING?

5 DR. YAFFE: WHO WAS THEIR INSTITUTIONAL
6 COLLABORATOR?

7 DR. JUELSGAARD: YES.

8 UNIDENTIFIED SPEAKER: IT'S PROBABLY
9 CONFIDENTIAL.

10 DR. JUELSGAARD: NO. IT'S IN THE LETTERS
11 WE'VE RECEIVED. I'LL LOOK THEM UP.

12 DR. YAFFE: THAT'S CONFIDENTIAL
13 INFORMATION UNLESS THE -- IT'S IN THE APPEAL. OKAY.
14 THAT'S SCRIPPS RESEARCH INSTITUTE, DR. JEANNE
15 LORING.

16 DR. JUELSGAARD: BUT YOU SAID SCRIPPS WAS
17 ALSO PART OF THE GROUP YOU'RE RECOMMENDING.

18 DR. YAFFE: THAT'S RIGHT, AS A
19 COLLABORATOR. SO DIFFERENT PI'S -- SORRY --
20 DIFFERENT RESEARCHERS.

21 DR. JUELSGAARD: I'M GLAD YOU CLARIFIED
22 THIS THEN. THAT'S VERY INTERESTING.

23 DR. YAFFE: YES. THERE'S THE
24 PERSONALITIES AND THERE'S THE INSTITUTIONS.

25 CHAIRMAN THOMAS: DR. LEVIN.

BARRISTERS' REPORTING SERVICE

1 DR. LEVIN: THANKS. SO I'M STILL CONFUSED
2 A BIT ABOUT THE REVIEW CRITERION AND HOW THEY WERE
3 APPLIED IN THIS SITUATION, AND OBVIOUSLY A LOT OF
4 OTHER PEOPLE ARE. OVERALL THE REASON THAT THIS, I
5 THINK, IS SO IMPORTANT, TO ME AT LEAST, IS THAT IN
6 READING THE REVIEWS, THEY DON'T SEEM TO ALIGN WITH
7 THE NUMERICAL SCORES THAT WERE GIVEN, WHICH IS WHAT
8 IS THE BASIS OF THE DECISION.

9 AND IN LOOKING HERE AT THE SLIDES THAT MR.
10 JUELSGAARD PUT UP, IT SAYS INSTITUTIONAL COMMITMENT
11 IS VERY IMPORTANT, BUT IT EXPLICITLY MENTIONS SPACE,
12 RESOURCES, COMMITMENT OF PERSONNEL, AND DOES NOT
13 MENTION MATCHING FUNDS, WHICH WE HAVE DONE IN THE
14 PAST WHEN MATCHING FUNDS WAS AN IMPORTANT ITEM THAT
15 WE THOUGHT NEEDED TO BE ADDED LIKE IN THE MAJOR
16 FACILITIES WHERE IT WAS 25 PERCENT OF THE SCORE.

17 AND EVEN MORE SO THE FIRST SLIDE THAT HE
18 PUT UP CLEARLY SAID THAT THE SCORES FOR THE CIP'S
19 WERE GOING -- CFE'S WERE GOING TO BE DONE
20 INDIVIDUALLY AND THEN COMBINED. AND THEN THE NEXT
21 SLIDE SAID THAT ANY PROJECTS THAT WOULD BE REMOVED
22 WOULD BE DONE AFTER THAT. AND I THINK I UNDERSTOOD
23 FROM YOU AT THE BEGINNING THAT THAT'S NOT WHAT
24 HAPPENED, THAT THE PROJECTS WERE REMOVED FIRST AND
25 THEN THE SCORE.

BARRISTERS' REPORTING SERVICE

1 DR. YAFFE: THAT'S CORRECT.

2 DR. LEVIN: AND SO, FOR EXAMPLE, FOR THE
3 TOP-RANKED APPLICATION, TWO OF THE FOUR PROJECTS
4 WERE REMOVED, AND IT WAS ONLY AFTER THAT WHEN THE
5 TWO WEAKEST PROJECTS IN THE GRANTS WORKING GROUP'S
6 OPINION WAS REMOVED, THAT THE SCORES WERE GIVEN AND
7 HAD THE HIGHEST VARIANCES I RECALL FROM 70 ALL THE
8 WAY UP TO 95 EVEN WITH THOSE TWO REMOVED.

9 DR. SAMBRANO: CORRECT.

10 DR. LEVIN: SO I GUESS THAT'S -- THAT'S
11 MORE NOW OF A COMMENT THAN A -- IS THERE A REASON
12 WHY IT WASN'T DONE THE WAY IT WAS STATED IN THE RFA,
13 TO GIVE THE NUMERICAL SCORE FIRST AND THEN THE
14 RECOMMENDATIONS, BECAUSE I THINK THAT THAT'S HOW
15 IT'S BEEN DONE THOSE TIMES THAT A SPECIFIC ONE HAS
16 BEEN REMOVED IN THE PAST.

17 DR. SAMBRANO: WELL, I DON'T THINK THE RFA
18 STATES WHEN THE SCORE IS GIVEN. IT JUST SAYS EACH
19 WILL BE CONSIDERED SEPARATELY AND SCORES WILL BE
20 ASSIGNED TO EACH OF THE COMPONENTS. IT DOESN'T SAY
21 HOW THEY WILL THEN COME TOGETHER OR BE COMPOSED INTO
22 A SINGLE FINAL SCORE. SO THE PROCESS THAT WE
23 UTILIZED WAS ALIGNED WITH THE WAY WE'VE DONE IT IN
24 OTHER REVIEWS IN TERMS OF GIVING THE GRANTS WORKING
25 GROUP THE OPPORTUNITY AND FLEXIBILITY TO BASICALLY

BARRISTERS' REPORTING SERVICE

1 SET A CONDITION ON AN AWARD, SUCH AS TO IMPOSE A
2 MILESTONE, TO REMOVE AN ACTIVITY, ADJUST THE BUDGET.

3 AND SO SINCE THEY HAD TO DO THIS FOR EACH
4 OF THE SEPARATE COMPONENTS BEFORE COMING UP WITH
5 THEIR COMPOSITE SCORE, THEY HAD TO DETERMINE WHAT
6 THE SCOPE OF THAT APPLICATION WAS SUCH THAT THEY
7 WOULD VOTE ON REMOVING A PROJECT IF THEY FELT IT WAS
8 GOING TO IMPROVE THE APPLICATION. SO THEY FIRST
9 TOOK THAT VOTE. AND IN THE CASES WHERE IT CAUSED A
10 REMOVAL OF THE PROJECT, OUR INSTRUCTIONS TO THEM
11 WERE THE APPLICATION NOW INCLUDES ONLY THIS SUBSET
12 OF PROJECTS. WHAT IS THE SCORE? OTHER THAN THAT,
13 WE DID NOT ASK THEM TO SCORE THE APPLICATION OR COME
14 UP WITH AN ALTERNATIVE SCORE.

15 DR. LEVIN: OKAY. I DON'T THINK THAT --
16 CAN YOU GO BACK TO THE FIRST ONE OF THESE FOUR
17 SLIDES BECAUSE I DON'T BELIEVE THAT'S WHAT IT SAID
18 IN THE RFA IS ALL. WE'RE NOT ON YOUR SLIDES
19 ANYMORE. I THINK IT WAS THE THREE COMPONENT SCORES
20 WILL BE COMBINED TO DETERMINE A COMPOSITE TOTAL
21 SCORE FOR THE ENTIRE APPLICATION FOR THE CFE'S.

22 DR. SAMBRANO: IT SAYS, RIGHT, THESE
23 PROJECT SCORES WILL BE WEIGHTED PROPORTIONATELY TO
24 THE FUNDS REQUESTED FOR EACH PROJECT AND THEN
25 COMBINED TO DETERMINE A SCORE FOR THE

BARRISTERS' REPORTING SERVICE

1 CENTER-INITIATED PROJECT COMPONENT OF THE
2 APPLICATION. SO WHAT THEY DID IS THEY DID EACH
3 COMPONENT. SO IN OTHER WORDS, THEY REVIEWED THE
4 CENTER ORGANIZATION, THEN THE COLLABORATIVE
5 PROJECTS. THEY WENT THROUGH EACH OF THE
6 CENTER-INITIATED PROJECTS, AND THEN AT THAT POINT IS
7 WHEN THEY COMPOSED A SCORE. THEY DIDN'T COMBINE THE
8 PROJECTS FIRST AND THEN WITH THE REMAINING TWO.
9 THEY HAD A MORE COMPREHENSIVE SCORE.

10 AND PART OF THE REASON FOR DOING SO WAS
11 THAT IN COMING UP WITH A COMPOSITE SCORE, THE IDEA
12 BEHIND HAVING A FORMULA MEANT THAT THE WEIGHT OR THE
13 WEIGHTING WAS FIXED FOR EACH COMPONENT. BUT YOU
14 COULD IMAGINE A SCENARIO WHERE YOU HAVE A PROPOSAL
15 WHERE THE CENTER-INITIATED PROJECTS ARE TERRIFIC,
16 BUT THEN THE CORE COMPONENT, THAT IS THE CENTER
17 ORGANIZATION, IS NOT. SO HOW MUCH WEIGHT YOU GIVE
18 THOSE MAY DEPEND ON THE REVIEWERS' ASSESSMENT OR
19 FEELING OF CAN THEY EVEN HOLD A CENTER. AND IF THEY
20 CAN'T, THEN THE FACT THAT THEY HAVE GREAT
21 CENTER-INITIATED PROJECTS IS OVERRULED BY THAT.

22 SO WE ALLOWED THE REVIEWERS TO WEIGH THOSE
23 THINGS IN THEIR HEAD RATHER THAN TO APPLY A SPECIFIC
24 FORMULA.

25 DR. LEVIN: I THINK ALSO THERE WAS CONCERN

BARRISTERS' REPORTING SERVICE

1 OVER THE MANAGEMENT STRUCTURE IS SOMETHING THAT ALSO
2 APPEARED IN THE REVIEW FOR THE TOP-RANKED
3 APPLICATION, WHICH WAS, I GUESS, CONFUSING TO ME AS
4 WELL SINCE THAT WAS SO IMPORTANT.

5 CHAIRMAN THOMAS: I HAVE A QUICK QUESTION,
6 THEN MS. WINOKUR NEXT. HOLD ON A SECOND, DR.
7 TROUNSON.

8 SO, DR. YAFFE, IS IT TRUE THAT THERE
9 WERE -- THIS WASN'T THE ONLY PROPOSER THAT HAD
10 INDIVIDUAL CENTER-INITIATED PROJECTS REMOVED IN THE
11 PROCESS OF THE REVIEW, CORRECT?

12 DR. YAFFE: THAT'S CORRECT.

13 CHAIRMAN THOMAS: THANK YOU.

14 DR. TROUNSON: SO, CHAIR, I WONDER IF I
15 COULD GET ONE OF OUR LEGAL OFFICERS TO ACTUALLY TELL
16 YOU WHAT WE'RE INSTRUCTED TO FROM THE SCIENCE GROUP
17 AND THE BOARD.

18 MR. HARRISON: SO LET ME JUST ADDRESS ONE
19 ISSUE BECAUSE DR. SAMBRANO IS CORRECT. THERE WAS A
20 CHANGE IN PROCESS THAT OCCURRED AFTER THE RFA WAS
21 ISSUED IN RESPONSE TO THE IOM RECOMMENDATIONS. AND
22 THAT DID INVOLVE TRANSFERRING PROGRAMMATIC REVIEW
23 FROM THE GRANTS WORKING GROUP TO THE BOARD, BUT
24 RETAINING WITHIN THE DISCRETION OF THE GRANTS
25 WORKING GROUP THE ABILITY TO RECALIBRATE. AND WHAT

BARRISTERS' REPORTING SERVICE

1 THAT MEANT WAS THAT THE GRANTS WORKING GROUP HAD THE
2 AUTHORITY TO RECOMMEND THAT CONDITIONS BE IMPOSED ON
3 AWARDS PRIOR TO SCORING THOSE AWARDS.

4 AND THE REASON FOR THAT CHANGE WAS TO
5 ALLOW PARTICIPATION OF ALL OF THE MEMBERS OF THE
6 GRANTS WORKING GROUP, INCLUDING THE SPECIALISTS WHO
7 ADVISE THE GRANTS WORKING GROUP WHO OFTEN COME AND
8 PARTICIPATE IN THE DISCUSSION OF ONLY ONE
9 APPLICATION AND THEN LEAVE. SO RATHER THAN WAITING
10 TO CONDUCT RECALIBRATION AFTER THE SCORES HAD BEEN
11 SUBMITTED, THAT WAS DONE WHILE THE SPECIALISTS AND
12 EVERYONE ELSE WAS PRESENT IN THE ROOM SO THAT
13 MOTIONS COULD BE MADE TO IMPOSE CONDITIONS ON
14 APPLICATIONS. AND ONLY AFTER THOSE MOTIONS WERE
15 HEARD WOULD THE APPLICATIONS BE SCORED.

16 SO DR. SAMBRANO IS CORRECT, THAT THAT
17 CHANGE IN PROCESS HAD AN IMPACT ON THE WAY THAT THIS
18 REVIEW WAS HANDLED.

19 DR. TROUNSON: AND FOR ALL REVIEWS, JAMES,
20 FROM NOW ON, RIGHT?

21 MR. HARRISON: CORRECT.

22 CHAIRMAN THOMAS: MS. WINOKUR.

23 MS. WINOKUR: WELL, I FIRST NEED TO
24 CONFESS THAT I'M NOT ALLOWED TO MAKE ANY COMMENTS.

25 DR. FRIEDMAN: THANK YOU FOR CLARIFYING

BARRISTERS' REPORTING SERVICE

1 THAT.

2 MS. WINOKUR: MY COMMENTS HAVE NOTHING TO
3 DO WITH ANY PROPOSALS. ON ANYTHING?

4 MR. HARRISON: CORRECT.

5 CHAIRMAN THOMAS: SORRY, BUT WELL SAID.
6 MR. PANETTA.

7 MR. PANETTA: THANK YOU, MR. CHAIRMAN.
8 I'D LIKE TO GO BACK AGAIN, AS THE INDUSTRY
9 REPRESENTATIVE HERE, I'D LIKE TO GO BACK TO THE
10 ISSUE OF COLLABORATION BECAUSE ILLUMINA IS SUCH A
11 STRENGTH IN GENOMICS FROM THE INDUSTRY SIDE WITHOUT
12 A DOUBT. AND I'M WONDERING IF YOU COULD EXPLAIN TO
13 US WHAT THE NATURE OF THE COLLABORATION IS AND
14 COMPARE AND CONTRAST THE NATURE OF THE COLLABORATION
15 OF ILLUMINA WITH THE APPLICATION THAT YOU'RE
16 PROPOSING FOR APPROVAL VERSUS 06709.

17 DR. YAFFE: I'M NOT SURE THAT WE CAN GO
18 INTO THAT LEVEL OF DETAIL UNLESS WE GO INTO PRIVATE
19 SESSION, EXECUTIVE SESSION. THIS INVOLVES
20 PROPRIETARY INFORMATION, DETAILS IN THE GRANT WHICH
21 WE, IN GENERAL, DON'T TALK ABOUT IN PUBLIC FORUM.

22 DR. TROUNSON: SO, CHAIR, I WONDER -- I
23 JUST WONDER IF THE PI IS PREPARED TO COMMENT ON ANY
24 OF THAT PART OF THE QUESTION BEFORE WE WOULD NEED TO
25 GO THERE. SO PERHAPS WE COULD ASK THE PI WHETHER

BARRISTERS' REPORTING SERVICE

1 THEY'RE PREPARED TO COMMENT ON ANY PART OF THAT
2 QUESTION.

3 CHAIRMAN THOMAS: FAIR ENOUGH. I SHOULD
4 SAY THAT IF YOU'D RATHER HAVE THAT IN CLOSED
5 SESSION, YOU HAVE THAT CHOICE AS WELL.

6 DR. SNYDER: SURE. AS FAR AS OUR
7 COLLABORATION WITH ILLUMINA, WE'RE ACTUALLY USING
8 THEM STRAIGHT UP FOR GENOME SEQUENCING, JUST FOR ONE
9 ASPECT OF A LARGE ARRAY OF ACTIVITIES. SO OURS IS
10 REALLY FOCUSED ON THE GENOME SEQUENCING SIDE. I
11 CAN'T COMMENT ON WHAT THE -- I DON'T KNOW WHAT THE
12 APPLICATION IS DOING.

13 DR. LORING: SO MY APPLICATION -- I'M
14 JEANNE LORING, AND I'M THE CO-PROJECT DIRECTOR WITH
15 A SCIENTIST AT ILLUMINA NAMED MOSTAFA RONAGHI, WHO
16 IS THE CHIEF TECHNICAL OFFICER AND INTERESTINGLY
17 ALSO COMES FROM THE STANFORD GENOME CENTER
18 ORIGINALLY. GOOD PLACE, THAT GENOME CENTER.

19 MY PARTNERSHIP WITH ILLUMINA IS ACTUALLY
20 EQUAL PARTNERSHIP. WE ARE PLANNING TO DEVELOP STEM
21 CELL-BASED TOOLS TOGETHER. ILLUMINA, OF COURSE,
22 WILL BE RESPONSIBLE FOR THE THINGS THAT THEY'RE VERY
23 GOOD AT LIKE SEQUENCING, BUT MY LAB AND I WILL BE
24 RESPONSIBLE FOR THINGS THAT WE'RE REALLY GOOD AT,
25 WHICH IS SINGLE CELL ANALYSIS AND OTHER EMBRYONIC

BARRISTERS' REPORTING SERVICE

1 AND IPS CELL-BASED TOOLS.

2 SO IT'S REALLY A COOPERATIVE EFFORT. WHAT
3 WE WANT TO DO ON A SCIENTIFIC LEVEL WITH ILLUMINA
4 SCIENTISTS IS TO DEVELOP NEW STEM CELL-SPECIFIC
5 TOOLS. THAT'S OUR MAIN GOAL.

6 CHAIRMAN THOMAS: THANK YOU. OTHER
7 COMMENTS FROM MEMBERS OF THE BOARD? QUESTIONS FOR
8 DR. YAFFE? HEARING NONE, I GUESS, FRANCISCO, WE
9 SHOULD TURN IT OVER TO YOU NOW TO COMPLETE THE --

10 DR. PRIETO: I THINK WE'LL HAVE TO HAVE A
11 MOTION ON THE FLOOR AND WE'LL GET THOSE SHORTLY.
12 GIL, WERE YOU GOING TO SAY SOMETHING ELSE?

13 DR. SAMBRANO: WE WERE JUST GOING TO BRING
14 UP THE TABLES, AND I CAN JUST ORIENT YOU AROUND
15 THOSE JUST TO MAKE SURE WE'RE CLEAR ON WHAT THE
16 BUDGET IS AND SO FORTH.

17 DR. PRIETO: SO WHILE YOU'RE DOING THAT --

18 MR. TORRES: ARE YOU READY FOR A MOTION?
19 IS THAT WHAT YOU'RE SAYING?

20 DR. PRIETO: IN A MOMENT. JUST WANT TO
21 REMIND EVERYONE THAT THIS IS DIFFICULT BECAUSE WE
22 HAVE A LOT OF MOVING PARTS. THE REVIEWERS WERE
23 SPECIFICALLY ADVISED DURING THE REVIEW THAT GRANTS
24 SCORED IN THIS RANGE WERE FUNDABLE AND THEY WERE
25 ALSO AWARE OF OUR BUDGET. SO WE HAVE THE DIFFICULT

BARRISTERS' REPORTING SERVICE

1 PROBLEM OF WAY MORE POTENTIAL AWARDEES THAN WE
2 ACTUALLY HAVE BUDGETED MONEY TO SPEND, ALTHOUGH WE
3 ARE FREE TO CHANGE THAT.

4 I THINK THE FIRST THING THAT I'D LIKE TO
5 ENTERTAIN, BECAUSE I THINK THIS WILL BE THE EASIER
6 PART OF IT, IS A MOTION ON THE DATA COORDINATION AND
7 MANAGEMENT PART OF THIS. THERE ARE FIVE
8 APPLICATIONS.

9 I THINK THE FIRST I THINK I'LL ASK IS IF
10 THERE'S A MOTION TO MOVE ANY APPLICATION FROM THE
11 NOT RECOMMENDED FOR FUNDING CATEGORY UP INTO TIER I.
12 OKAY.

13 SO ABSENT THAT MOTION, CAN I ASK FOR A
14 MOTION TO MOVE ANY APPLICATION OUT OF TIER I?

15 DR. LEVIN: WE'RE REQUIRED TO DO THIS BY
16 THE RFA, RIGHT? THERE ONLY CAN BE ONE?

17 DR. SAMBRANO: YES.

18 DR. PRIETO: WE'RE BUDGETED FOR ONE. IN
19 THIS CASE THERE'S MUCH MORE OF A CLEAR DELINEATION
20 OF SCORES WITH ONE APPLICATION SCORING 12 POINTS
21 HIGHER THAN THE NEXT CLOSEST. SO IF I CAN HAVE A
22 MOTION.

23 MR. TORRES: A MOTION TO RECOMMEND THE
24 STAFF RECOMMENDATION?

25 DR. SAMBRANO: CAN I JUST MAYBE --

BARRISTERS' REPORTING SERVICE

1 MR. TORRES: IS THAT AN APPROPRIATE
2 MOTION?

3 DR. SAMBRANO: -- MAKE A CLARIFICATION?
4 SO THE GRANTS WORKING GROUP ITSELF DID HAVE A MOTION
5 ON WHICH DATA COORDINATION MANAGEMENT CENTER THEY
6 SPECIFICALLY WANTED TO SEE FUNDED, WHICH IS THE TOP
7 ONE, 6673. SO THERE WAS A MOTION MADE TO THAT
8 EFFECT. SO THE GRANTS WORKING GROUP RECOMMENDATION,
9 ALTHOUGH YOU HAVE TWO IN TIER I BASED ON SCORE,
10 THERE WAS A SPECIFIC DIRECTED MOTION THAT 6673 --

11 DR. PRIETO: SO WE CAN DO THIS IN TWO
12 WAYS. WE CAN EITHER MOVE SOMETHING OUT OF TIER I
13 AND THEN VOTE TO APPROVE WHAT'S LEFT IN TIER I, OR
14 WE CAN SIMPLY TAKE A MOTION TO ACCEPT THE GRANTS
15 WORKING GROUP RECOMMENDATION. I'D LIKE TO HEAR ONE
16 OR THE OTHER.

17 MR. TORRES: SO MOVED ON THE LATTER.
18 WOULD YOU RESTATE THE MOTION?

19 MR. HARRISON: SURE. AS I UNDERSTAND
20 SENATOR TORRES' MOTION, IT IS TO APPROVE FUNDING OF
21 DATA COORDINATION AND MANAGEMENT APPLICATION 6673
22 AND NOT TO FUND THE REMAINING APPLICATIONS FOR DATA
23 COORDINATION AND MANAGEMENT.

24 MR. TORRES: CORRECT.

25 DR. JUELGAARD: I SECOND THAT MOTION.

BARRISTERS' REPORTING SERVICE

1 DR. PRIETO: ANY COMMENT FROM THE BOARD,
2 FROM THOSE WHO ARE ABLE TO PARTICIPATE? ANY PUBLIC
3 COMMENT?

4 MR. REED: I DON'T PRETEND TO FULLY
5 UNDERSTAND THIS. THIS IS A LOT OF COMPLEX STUFF.
6 BUT 6709 GOT A LOW SCORE AND SAID IT HAD NO
7 FINANCIAL CONTRIBUTION, BUT IT ALSO HAD AN EQUAL
8 PARTNERSHIP WITH ILLUMINA. DOES THAT NOT HAVE A
9 FINANCIAL VALUE?

10 DR. PRIETO: WHAT WE'RE TALKING ABOUT NOW
11 IS JUST THE DATA COORDINATION AND MANAGEMENT PART OF
12 THE APPLICATION. THAT DOESN'T INCLUDE THE GENOMIC
13 CENTER OR THE CENTER-INITIATED PROJECTS.

14 MR. REED: I THOUGHT THIS WAS THE ONLY
15 CHANCE WE HAVE TO TALK ABOUT THAT.

16 DR. PRIETO: NO. NO. NO. WE'LL ATTACK
17 THAT SEPARATELY. THIS IS THE BIOINFORMATICS AND
18 DATA PORTION OF IT. SO WE HAVE A MOTION ON THE
19 FLOOR AND A SECOND TO APPROVE THE GRANTS WORKING
20 GROUP RECOMMENDATION FOR THE HIGHEST RATED
21 APPLICATION, WHICH IS 6673. CAN WE HAVE THE ROLL.

22 MS. BONNEVILLE: STEVE JUELSGAARD.

23 DR. JUELSGAARD: AYE.

24 MS. BONNEVILLE: LAUREN MILLER.

25 MS. MILLER: AYE.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: JOE PANETTA.
2 MR. PANETTA: AYE.
3 MS. BONNEVILLE: FRANCISCO PRIETO.
4 DR. PRIETO: AYE.
5 MS. BONNEVILLE: ROBERT QUINT.
6 DR. QUINT: ABSTAIN.
7 MS. BONNEVILLE: JON THOMAS.
8 CHAIRMAN THOMAS: YES.
9 MS. BONNEVILLE: ART TORRES.
10 MR. TORRES: AYE.
11 MS. BONNEVILLE: DIANE WINOKUR.
12 MS. WINOKUR: I ABSTAIN.
13 DR. PRIETO: WISELY.
14 MS. WINOKUR: I CAN'T SAY ANYTHING.
15 MR. HARRISON: MOTION CARRIES WITH SIX YES
16 VOTES AND ONE ABSTENTION.
17 DR. PRIETO: THANK YOU. OKAY. NOW I'LL
18 ENTERTAIN MOTIONS ON THE GENOMICS CENTER. I THINK
19 THE CLEANEST -- YES, GIL.
20 DR. SAMBRANO: SO I JUST WANT TO CLARIFY
21 SOMETHING ON THE BUDGET JUST SO THAT IT'S CLEAR.
22 WHEN DR. YAFFE PRESENTED THE TOTAL NUMBERS, THEY'RE
23 NOT EXACTLY MATCHING UP WITH WHAT'S ON THIS TABLE,
24 BUT I WANT TO EXPLAIN WHAT IS ON THIS TABLE.
25 SO FOR THE DATA COORDINATION AND

BARRISTERS' REPORTING SERVICE

1 MANAGEMENT CENTER, WHICH YOU JUST APPROVED, THAT IS
2 3.99 MILLION AND IS SHOWN ON THAT TABLE AS SUCH.
3 FOR APPLICATION 6673, IN TERMS OF THE GENOMICS
4 CENTER, AS RECOMMENDED BY THE GRANTS WORKING GROUP,
5 THAT IS, WITHOUT PROJECT TWO, THE BUDGET AMOUNT
6 WOULD BE 23.8 MILLION. IF THAT WERE FUNDED AS
7 RECOMMENDED BY CIRM STAFF, THAT IS, INCLUDING
8 PROJECT TWO, IT WOULD BE 29.3 MILLION. SO I JUST
9 WANT TO MAKE SURE THAT THERE'S A POTENTIAL
10 DIFFERENCE DEPENDING ON HOW YOU CHOOSE TO ADDRESS
11 THAT PARTICULAR APPLICATION. FOR ALL THE OTHERS,
12 THOSE ARE AS RECOMMENDED BY THE GRANTS WORKING
13 GROUP.

14 DR. PRIETO: OKAY. THANK YOU. SO HERE ON
15 THE GENOMICS CENTER ITSELF, SO ON THE TOP OF YOUR
16 SCREEN HERE, AGAIN, WE HAVE A COUPLE OF DIFFERENT
17 MOTIONS THAT I CAN ENTERTAIN. I MAY NEED SOME
18 GUIDANCE FROM COUNSEL HERE. WE HAVE THE CONFLICTING
19 RECOMMENDATIONS, IF YOU WILL, FROM THE GRANTS
20 WORKING GROUP AND THE STAFF. WE ALSO HAVE THE
21 OPTION OF VOTING FOR THIS -- VOTING FOR THE CENTER
22 BEFORE OR AFTER MAKING THAT DECISION WHETHER TO
23 INCLUDE IT.

24 I WOULD SUGGEST THAT WE FIRST VOTE ON THE
25 STAFF RECOMMENDATION AND THEN DECIDE WHERE THAT PUTS

BARRISTERS' REPORTING SERVICE

1 THE VARIOUS APPLICATIONS. AND THEN WE CAN GO ONE BY
2 ONE.

3 MR. TORRES: SO MOVED.

4 DR. PRIETO: I'M SUGGESTING A MOTION ON
5 THE STAFF RECOMMENDATION AND THE MINORITY REPORT
6 FROM THE GRANTS WORKING GROUP TO REINSTALL OR
7 REINSTATE THE CENTER-INITIATED PROJECT 2.

8 CHAIRMAN THOMAS: SO, FRANCISCO, ARE YOU
9 SAYING YOU'RE LOOKING TO APPROVE 6673 AS AMENDED BY
10 REINCLUSION OF THE ONE PROJECT? IS THAT THE MOTION
11 YOU'RE LOOKING FOR?

12 DR. PRIETO: NO. I'M SUGGESTING A MOTION
13 TO ADD THAT TO THE APPLICATION AS RECOMMENDED BY
14 STAFF AND AS RECOMMENDED BY THE GRANTS WORKING GROUP
15 AND THEN VOTE ON THE APPLICATION AS A WHOLE.

16 CHAIRMAN THOMAS: SO MOVED.

17 MR. TORRES: SECOND.

18 DR. PRIETO: DISCUSSION. SUDDENLY NO ONE
19 HAS AN OPINION.

20 DR. LEVIN: COULD I MAYBE SUGGEST THAT
21 IT'S -- IT COULD BE MORE COMPLICATED THAN THAT IN
22 THAT IF WE'RE GOING TO DO ANYTHING CREATIVE IN TERMS
23 OF ADJUSTING THE BUDGET OR SPLITTING THINGS UP OR
24 RAISING THE BUDGET, THAT PUTTING IN OR TAKING OUT A
25 PROJECT OF SIX PLUS MILLION DOLLARS IS A DECISION

BARRISTERS' REPORTING SERVICE

1 THAT AFFECTS THE OVERALL SLATE THERE, NOT JUST THE
2 SINGLE PROPOSAL UNDER CONSIDERATION.

3 DR. PRIETO: WELL, IT AFFECTS THIS
4 PROPOSAL. IT AFFECTS OUR DECISION OVERALL. I THINK
5 YOU ALLUDED TO THIS EARLIER, THAT THERE IS THE
6 POTENTIAL THAT THE SCORES MIGHT HAVE BEEN DIFFERENT
7 IF THE MAJORITY OF THE WORKING GROUP HAD INCLUDED
8 THIS RATHER THAN EXCLUDED IT. AND THERE WAS A
9 DIVERGENCE OF OPINION.

10 DR. LEVIN: WELL, I DIDN'T MEAN JUST THAT.
11 BUT THAT WITHOUT THAT PROJECT IN THERE, THIS CENTER
12 IS ONLY \$24 MILLION, WHICH IS JUST A LITTLE OVER
13 HALF OF THE TOTAL BUDGET. SO MINOR ADJUSTMENTS TO
14 BUDGETS COULD ALLOW FOR THE TWO CENTERS THAT WERE
15 ORIGINALLY, AS I RECALL, CONCEIVED FOR THIS RFA TWO
16 YEARS AGO OR MORE, BUT THAT AT THE HIGHER LEVEL OF
17 29 PLUS THE DATA COORDINATING CENTER, IT PRETTY MUCH
18 PRECLUDES ANY FUNDING GOING ANYWHERE ELSE.

19 DR. PRIETO: YEAH, I CAN'T SPEAK TO THAT,
20 WHETHER IT WAS ORIGINALLY INCLUDED THAT WAY. MAYBE
21 MICHAEL OR GIL.

22 DR. SAMBRANO: SO THE RFA CONTEMPLATED ONE
23 OR TWO. IT DID NOT SPECIFY WHICH ONE, BUT BASICALLY
24 THE LIMIT WOULD BE TWO. AND THE CONCEPT SPECIFIED
25 UP TO 40 MILLION.

BARRISTERS' REPORTING SERVICE

1 DR. PRIETO: MR. JUELSGAARD.

2 DR. JUELSGAARD: SO MY CONCERN ABOUT THE
3 PROPOSAL THAT'S ON THE TABLE RIGHT NOW IS THAT WE
4 DON'T KNOW THE ANSWER AS TO WHETHER IF PROJECT NO.
5 2, WHICH YOU'RE RECOMMENDING, STAFF IS RECOMMENDING,
6 BE INCLUDED IN THE FUNDING, WE DON'T KNOW IF THAT
7 HAD BEEN INCLUDED IN THE GWG'S ANALYSIS, WHETHER THE
8 SCORES WOULD HAVE BEEN THE SAME OR NOT. WE DON'T
9 KNOW ONE WAY OR THE OTHER WHETHER THE SCORES WOULD
10 HAVE BEEN LOWER. WE JUST DON'T KNOW HOW THAT
11 IMPACTED IT. AND I'M A LITTLE WORRIED THAT THERE IS
12 THE POSSIBILITY THAT, BY INCLUDING IT, THE SCORES
13 MIGHT HAVE GONE DOWN, AND, IN FACT, IT MIGHT EVEN
14 HAVE BEEN IN SECOND PLACE. SO I'M PRETTY RELUCTANT
15 IN MY OWN MIND TO SORT OF WORK -- TO GO IN THE
16 OPPOSITE DIRECTION OF WHAT THE GWG RECOMMENDED AS
17 MUCH ON THAT BASIS PERHAPS AS ANY. IT'S LESS ON THE
18 SCIENTIFIC MERIT ISSUE, BUT JUST ON MORE THE
19 PROCESS, NOT REALLY UNDERSTANDING HOW THAT WOULD
20 HAVE AFFECTED THE BIGGER PICTURE.

21 DR. SAMBRANO: IF I CAN JUST COMMENT ON
22 THIS BRIEFLY. I THINK YOU'RE RIGHT. WE CAN'T KNOW
23 WHAT THE SCORE WOULD BE. ON THE OTHER HAND, THE
24 VOTE TO REMOVE THAT PROJECT WAS SPLIT. SO YOU HAVE
25 ESSENTIALLY ABOUT HALF OF THE GRANTS WORKING GROUP

BARRISTERS' REPORTING SERVICE

1 MEMBERS WHO WERE IN FAVOR OF REMOVING IT, THE OTHER
2 HALF THAT WEREN'T. YOU KNOW, WHETHER THAT IMPACTS
3 ON HOW YOU VIEW THAT OR HOW YOU WOULD CALCULATE THAT
4 IN YOUR MIND I DON'T KNOW, BUT AT LEAST I'M OFFERING
5 THAT AS ADDITIONAL INFORMATION.

6 DR. JUELSGAARD: DO YOU HAVE THE EXACT
7 PERCENTAGES THAT WERE IN FAVOR OF REMOVING IT? SO
8 THERE'S A MINORITY REPORT WHICH SUGGESTS TO ME THAT
9 IT'S LESS THAN 50 PERCENT. SO DO YOU KNOW THE EXACT
10 PERCENTAGES THAT WERE IN FAVOR OF REMOVING IT AND
11 THE PERCENTAGES --

12 DR. SAMBRANO: THE VOTE, YES, THE VOTE WAS
13 NINE TO SEVEN.

14 DR. JUELSGAARD: OKAY. THANK YOU.

15 DR. TROUNSON: SO I THINK, STEVE, ALSO, IN
16 THIS YOU NEED TO CONTEMPLATE IN THERE THE IMPORTANCE
17 OF THE SORT OF SINGLE CELL WORK. THAT'S REALLY,
18 REALLY IMPORTANT TO THIS FIELD. AND SO WHEN THE
19 STAFF TOOK A LOOK AT IT, THERE'S NO DOUBT ABOUT IT.
20 THE LEAD SCIENTIST IS THE BEST PERSON IN THE WORLD
21 IN THE SINGLE CELL. WHERE THEY DID TAKE A HIT WAS
22 AROUND THE PHENOTYPES OF THE CELLS THAT THEY WERE
23 GOING TO BE USING. WELL, DR. CLARK IS -- I THINK
24 HE'S AN EXTRAORDINARY GOOD RESEARCHER, AND I THINK
25 THE STANFORD PEOPLE ARE TERRIFIC AT THAT. THEY'VE

BARRISTERS' REPORTING SERVICE

1 WORKED OUT LINEAGES FOR MANY, MANY DIFFERENT CELL
2 LINEAGES. SO I FELT CONFIDENT THAT THIS TEAM WAS
3 REALLY UP TO THE PHENOTYPING PART.

4 BUT SOME OF THE GRANTS WORKING GROUP FELT
5 THAT THAT'S WHERE IT WAS LEFT OUT, NOT ON THE
6 GENOMICS PART, BUT ON THE PHENOTYPING OF THE CELLS.
7 WELL, I DO THINK OUR BEST CELL PHENOTYPE IS PROBABLY
8 THERE IN THAT UNIVERSITY. AND CLARK IS CERTAINLY
9 AMONGST THEM. HE'S WORKED OUT PHENOTYPES FOR CANCER
10 STEM CELLS, WHICH HAS BEEN PRETTY DIFFICULT TO DO.
11 SO WE FELT THAT THIS WAS ON BALANCE A VERY STRONG
12 REASON TO INCORPORATE IT IN. AND WE CAN'T TELL
13 WHETHER THAT WOULD HAVE AN IMPACT.

14 CERTAINLY A GOOD PART OF THE GRANTS
15 WORKING GROUP FELT THAT THAT WOULDN'T HAVE MADE A
16 DIFFERENCE TO THEM. THEY WOULD HAVE STILL BEEN VERY
17 SUPPORTIVE OF THIS PROJECT. SO THAT'S WHY WE CAME
18 SO STRONGLY BEHIND IT.

19 AND ALL THE STAFF ARE SUPPORTIVE OF MY
20 VIEW OF THIS. AND WE DON'T REALLY HAVE -- THERE WAS
21 NO SPLIT AMONGST US. WE THINK WE WOULD BE REALLY
22 DUMB NOT TO GET THIS WORK IN THERE. THAT WAS SORT
23 OF THE DUMBNESS OF OUR NOT DOING IT. BUT
24 NEVERTHELESS, WE DO RESPECT THE GRANTS WORKING GROUP
25 BECAUSE THAT'S AN IMPORTANT ELEMENT OF WHAT WE DO.

BARRISTERS' REPORTING SERVICE

1 SO IN THIS PARTICULAR INSTANCE, WE'RE MAKING A
2 RECOMMENDATION WHICH IS SLIGHTLY DIFFERENT, BUT AT
3 LEAST IT'S SUPPORTIVE OF AT LEAST CLOSE TO HALF OF
4 THOSE PEOPLE.

5 DR. PRIETO: SO I HAVE A QUESTION FOR DR.
6 TROUNSON. THIS FALLS UNDER THE SINGLE CELL ANALYSIS
7 LIKE THE SMALLER GRANT THAT WE APPROVED THIS
8 MORNING. ARE THERE OTHER AVENUES, OTHER RFA'S THAT
9 WE HAVE IN THE WORKS THROUGH WHICH THIS WORK MIGHT
10 GET DONE?

11 DR. TROUNSON: NOT TO THAT EXTENT BECAUSE
12 IT WOULD BE GENUINELY PART OF BASIC RESEARCH. SO WE
13 WOULD BE DOWN AROUND ABOUT A MILLION IF YOU COULD
14 ACTUALLY DO THAT. PERHAPS IF YOU DID IT IN THREE OR
15 FOUR DIFFERENT PROJECTS, THREE OR FOUR GOT UP IN
16 THAT AREA, YES, THAT WOULD BE MAYBE EQUIVALENT, BUT
17 I DON'T THINK WE'VE GOT THE TIME AND SO FORTH FOR
18 THAT TO BE ABLE TO SORT OF RAKE THAT IN.

19 SO WHAT THEY'RE DOING HERE IS REALLY
20 PRETTY EMPHATIC ACROSS A NUMBER OF PARTICULARLY
21 DIFFICULT AREAS WHERE THEY SORT OF WANT TO SORT OF
22 CONCENTRATE THIS. SO I DON'T THINK YOU'D FIND THAT
23 INCORPORATED APPROACH ANYWHERE BECAUSE IT'S GOING TO
24 COST SIX MILLION.

25 AND WHEREAS YOU COULD DO A LITTLE PART OF

BARRISTERS' REPORTING SERVICE

1 IT, AND WE SAW EARLY THIS MORNING THERE'S A VERY
2 SMALL PART OF IT BEING DONE IN A BASIC SCIENCE
3 PROJECT, BUT WE REALLY WANT CALIFORNIA TO STAND OUT,
4 AND I THINK THIS IS ONE. WHEN WE DESIGNED IT IN THE
5 MIND, THE GENOMICS, THIS WAS ONE OF THE THINGS THAT
6 I HAD IN MIND THAT WE REALLY HAD TO GET DOWN TO THE
7 SINGLE CELL GENOMICS BECAUSE IF WE DIDN'T GET DOWN
8 THERE, WE WOULD NEVER UNDERSTAND THE CELL PROPERLY.

9 AND SO I JUST THINK IT'S ONE OF THE BASIC
10 ELEMENTS OF US MOVING FORWARD, GENUINELY MOVING
11 FORWARD, AND I THINK WE WOULD CREATE ENORMOUS
12 LEADERSHIP OUT OF THIS. SO THAT'S WHY I WAS
13 ATTRACTED TO IT. AND AS I SAID, I THINK IT WAS
14 REALLY ONLY THE PHENOTYPING THAT WAS KIND OF LEFT
15 OUT IN THE APPLICATION. AND I FELT THAT THE
16 SCIENTISTS HAD IT WITHIN THEM. THEY JUST DIDN'T GET
17 IT ON PAPER IN THE WAY TO PERSUADE ALL OF THE
18 REVIEWERS.

19 DR. PRIETO: OTHER COMMENTS? YES.

20 MR. PANETTA: I'D JUST LIKE TO GO BACK TO
21 BUILD ON DR. LEVIN'S COMMENT HERE BECAUSE
22 PROCEDURALLY I'M NOT SURE I UNDERSTAND HOW WE'RE
23 GOING TO MOVE FORWARD HERE. BUT ONE OF THE THINGS
24 THAT SEEMS TO ME MIGHT BE A CHALLENGE HERE WITH
25 REGARD TO ADDING THIS PROJECT BACK IN IS THE FACT

BARRISTERS' REPORTING SERVICE

1 THAT THERE'S AT LEAST A PROPOSED LIMITED BUDGET OF
2 ABOUT \$40 MILLION HERE, THAT DO WE HAVE THE
3 OPPORTUNITY TO ADJUST THAT BUDGET POTENTIALLY. AND
4 THAT GOES TO THE NEXT QUESTION.

5 GIVEN WHAT I HEARD AND THE COMMENTS
6 RELATIVE TO THE TWO PROPOSALS AND THE OPPORTUNITY TO
7 WORK WITH ILLUMINA, WHICH, AGAIN, I THINK IS AN
8 ENORMOUS OPPORTUNITY, AND THE STRENGTH OF THE 6709
9 PROPOSAL THERE, WILL WE HAVE THE OPPORTUNITY TO
10 CONSIDER POTENTIALLY HAVING TWO OF THESE CENTERS?

11 DR. PRIETO: I THINK TO ANSWER THAT
12 QUESTION, YES, WE HAVE THE OPPORTUNITY. AS THE
13 BOARD WE CAN INCREASE THE BUDGET FOR THIS; BUT, OF
14 COURSE, THAT'S TAKING FUNDS AWAY FROM OTHER THINGS
15 WE MIGHT DO. THERE IS NOTHING CAST IN STONE ABOUT
16 THE SPECIFIC DOLLAR AMOUNT THAT WE SET, BUT IT IS
17 PART OF OUR OVERALL BUDGET.

18 CHAIRMAN THOMAS: JUST WANT TO POINT OUT,
19 JOE, THAT WE SPENT A FULL DAY IN DECEMBER ON THE
20 WHOLE ISSUE OF FINITE RESOURCES AND PRIORITIZATION
21 AND EVERYTHING ELSE. AND SO THE CONCEPT OF
22 INCREASING BUDGETS AS WE GO ALONG IS ONE THAT WE'D
23 HAVE TO THINK HARD AND SERIOUS ABOUT BEFORE WE DID
24 ANYTHING.

25 DR. LEVIN: CAN I JUST ADD HOW MUCH UNDER

BARRISTERS' REPORTING SERVICE

1 BUDGET WE WERE ON THE BASIC BIOLOGY?

2 DR. SAMBRANO: SO WE WERE AT 27 -- ABOUT
3 27 MILLION IN TERMS OF THE FINAL APPROVAL, AND THE
4 BUDGET WAS 40. SO ABOUT 13.

5 DR. PRIETO: AND DO WE HAVE LEEWAY TO
6 ADJUST THE BUDGET PROPOSED BY THE APPLICANTS TO
7 REDUCE THE AWARDS?

8 DR. SAMBRANO: SO YOU COULD, BUT I THINK
9 YOU WOULD HAVE TO DO IT VERY CAREFULLY BECAUSE I
10 THINK, IN THE WAY THAT THE GRANTS WORKING GROUP MADE
11 ITS MOTIONS TO REMOVE A PROJECT AND THE ASSOCIATED
12 BUDGET WITH IT, THE APPLICATION WAS CONSTRUCTED IN A
13 WAY THAT YOU COULD REMOVE PROJECTS. SO I MEAN I
14 THINK IT WOULD REQUIRE YOU DOING THAT, BUT HOW YOU
15 WOULD CHOOSE WHICH PROJECTS TO REMOVE AND WHICH ONES
16 TO KEEP I THINK MIGHT POSE A CHALLENGE.

17 DR. BURTIS: I WAS GOING TO ASK AS ANOTHER
18 ALTERNATIVE, AND I JUST PUT THIS OUT AS KIND OF A
19 COUNTERPART TO AUGMENT THE 30 PERCENT FOR
20 COLLABORATIVE PROJECTS SO THAT WE ONLY HAVE ONE
21 CENTER, BUT THAT IT HAS A GREATER CAPACITY TO BRING
22 IN OTHER GOOD SCIENCE THAT MAY HAVE RISEN UP IN THIS
23 PROCESS, JUST AS AN ALTERNATIVE IDEA THAT WOULD
24 STILL KEEP IT WITHIN THE CAP, BUT WOULD ALLOW
25 ADDITIONAL FLEXIBILITY TO THAT ONE CENTER TO

BARRISTERS' REPORTING SERVICE

1 COLLABORATE. JUST AN IDEA.

2 DR. PRIETO: I'M NOT SURE I UNDERSTAND,
3 BUT ARE YOU SUGGESTING OTHER -- PROJECTS INITIATED
4 BY OTHER CENTERS TO BE BROUGHT INTO THAT CENTER?

5 DR. BURTIS: WE WERE DISCUSSING THAT
6 EARLIER, THE IDEA THAT THERE WAS WITHIN THIS -- I
7 THINK MICHAEL WAS TALKING ABOUT THE IDEA THAT WITHIN
8 THIS WAS COLLABORATIVE MONEY, AND THAT GOOD IDEAS
9 THAT HAD RISEN UP IN OTHER PROPOSALS MIGHT WELL FIND
10 THEIR WAY TO THAT COLLABORATIVE ENTERPRISE. AND IF
11 THAT COLLABORATIVE ENTERPRISE WERE TO HAVE ITS
12 FUNDING AUGMENTED, WOULD THAT POTENTIATE THAT EVEN
13 MORE?

14 DR. TROUNSON: THAT WAS CERTAINLY ONE
15 DISCUSSION AT THE GRANTS WORKING GROUP. I DON'T
16 KNOW IF IT TRANSPIRED TO ANY RECOMMENDATION, BUT WE
17 WERE ASKED SEVERAL TIMES COULD THAT HAPPEN. AND I
18 THINK WE SAID THIS IS REALLY OPEN TO THE BOARD TO
19 MAKE THOSE DECISIONS. SO I DON'T THINK THERE WAS A
20 SPECIFIC RECOMMENDATION.

21 DR. SAMBRANO: THERE WAS A MOTION MADE,
22 BUT IT FAILED. BUT, YOU KNOW, THE RECOMMENDATION
23 WAS ESSENTIALLY WHAT YOU ARE SUGGESTING, TO AUGMENT
24 THE COLLABORATIVE RESEARCH COMPONENT TO ALLOW
25 ADDITIONAL PROJECTS TO COME IN, PARTICULARLY FROM

BARRISTERS' REPORTING SERVICE

1 OTHER APPLICATIONS THAT WOULDN'T BE FUNDED BUT THAT
2 STILL HAVE MERITORIOUS PROJECTS THAT COULD ENTER.

3 DR. PRIETO: I THINK WE NEED TO MAKE SOME
4 DECISIONS HERE, AND I'D SUGGEST LET'S START BY
5 DECIDING DO WE ACCEPT THE STAFF RECOMMENDATION OR
6 NOT ON THIS ONE PIECE, ADDING THIS CENTER-INITIATED
7 PROJECT 2 BACK INTO THE MIX. IF THERE ARE NO OTHER
8 COMMENTS.

9 DR. TROUNSON: THERE MIGHT BE INPUT FROM
10 THE PUBLIC.

11 DR. PRIETO: YES. FIRST, ANY MORE BOARD
12 COMMENT?

13 CHAIRMAN THOMAS: I WOULD TAKE DR.
14 TROUNSON'S ADVICE VERY SERIOUSLY. I DO THINK THE
15 SINGLE STEM CELL ASPECT OF THIS IS A KEY COMPONENT
16 THAT YOU'D WANT TO HAVE IN ANY SORT OF LARGER SCALE
17 PROJECT THAT SERIOUSLY DEALS WITH THE ISSUES. SO I
18 WOULD BE IN FAVOR OF THAT.

19 DR. PRIETO: PUBLIC COMMENT?

20 DR. KWOK: MY NAME IS PUI KWOK. I'M AT
21 UCSF. I'M HERE TO SPEAK ON BEHALF OF MY COLLEAGUES
22 AT UCSF, UCLA, UC BERKELEY, AND THE LAWRENCE
23 BERKELEY NATIONAL LAB.

24 I SENT A LETTER TO THE ICOC BOARD LAST
25 FRIDAY AND POINTED OUT SOME OF THE THINGS THAT WE

BARRISTERS' REPORTING SERVICE

1 HAVE BEEN DISCUSSING EARLIER. BUT INSTEAD OF
2 WORRYING ABOUT THAT, I THINK THAT IN MY PIECE I LEFT
3 WITH YOU EARLIER, THE QUESTION OF THE OVERALL
4 SCORING PROCEDURE AND THE REVIEW PROCESS IS REALLY
5 NOT THE KEY HERE. THE KEY IS THAT WE FEEL REALLY
6 STRONGLY THAT BRINGING GENOMICS AND INFORMATICS TO
7 HELP ADVANCE STEM CELL BIOLOGY AND THERAPEUTICS IS
8 TOO IMPORTANT FOR US TO DELAY THE WORK BY ASKING FOR
9 ANOTHER ROUND OF REVIEW.

10 INSTEAD, MY COLLEAGUES AND I WOULD LIKE TO
11 PUT FORWARD A PROPOSAL THAT PEOPLE HAVE BEEN
12 ESSENTIALLY TALKING ABOUT. YOU KNOW, THE RESPONSE
13 TO THE RFA HAS BEEN VERY, VERY REMARKABLE. REALLY
14 ALL THE EXPERTS IN GENOMICS AND BIOINFORMATICS IN
15 CALIFORNIA HAVE TEAMED WITH ALMOST ALL THE STEM CELL
16 RESEARCHERS IN CALIFORNIA FOR SOME REALLY AMAZING
17 PROJECTS. SO WITHOUT FUNDING ANY CENTERS, THE RFA
18 ALREADY DID THE WORK, WHICH YOU WILL SEE THE GOAL OF
19 BRINGING GENOMICS TO THE STEM CELL COMMUNITY.

20 AND OBVIOUSLY THE GRANT REVIEWERS ALSO
21 AGREED. THE PANEL OF OUT-OF-STATE EXPERTS WERE SO
22 IMPRESSED, THAT FOUR APPLICATIONS WERE PLACED INTO
23 TIER I. IF YOU READ THE REVIEWERS CAREFULLY, YOU
24 WILL SEE THAT TWO CENTER-INITIATED PROJECTS IN EACH
25 OF THE FOUR TIER I PROJECTS WERE RATED HIGHLY AND

BARRISTERS' REPORTING SERVICE

1 ENTHUSIASTICALLY RECOMMENDED FOR FUNDING. SO THIS
2 IS A RINGING ENDORSEMENT OF THE IDEA THAT APPLYING
3 GENOMICS AND BIOINFORMATICS APPROACHES TO STEM CELL
4 RESEARCH IS EXCITING AND TRANSLATING.

5 SO OUR PROPOSAL TO THE BOARD IS REALLY TO
6 ABANDON THE CENTER CONCEPT BECAUSE IT'S ALREADY
7 DONE. GENOMICS HAVE BEEN BROUGHT IN TO GO WITH STEM
8 CELL. SO, RATHER, THE REVIEWERS ALREADY HAVE DONE
9 THE JOB OF IDENTIFYING REALLY, REALLY GOOD PROJECTS,
10 INCLUDING THREE SINGLE CELL PROJECTS FROM OTHER
11 CENTERS, NOT JUST FROM STANFORD. SO I WOULD PROPOSE
12 THAT INSTEAD OF WAITING TO FORM THE CENTER THAT
13 WOULD THEN INVITE AND REVIEW MERITORIOUS
14 COLLABORATIVE PROJECTS, WE SHOULD JUST MOVE FORWARD
15 AND CONDUCT THESE EIGHT EXCITING TIER I STUDIES NOW
16 AS EACH PROJECT ALREADY HAS INFRASTRUCTURE READY IN
17 THE PARTICULAR INSTITUTIONS.

18 SO WE RECOGNIZE THAT OUR PROPOSAL IS VERY
19 DIFFERENT FROM THE ORIGINAL RFA CALLS FOR, BUT WE
20 BELIEVE THAT THIS APPROACH ACHIEVES THE ULTIMATE
21 GOAL SET OUT IN THE RFA, AND IT'S THE BEST USE OF
22 ALLOCATED FUNDING BY THE TAXPAYERS, AND WILL BRING
23 STEM CELL RESEARCH TO A HIGHER LEVEL WITHOUT THE
24 EXPENSE AND ENCUMBRANCE OF A CENTER OF BUREAUCRACY.
25 AND, FURTHERMORE, IT WOULD BRING EQUITY TO A REVIEW

BARRISTERS' REPORTING SERVICE

1 PROCESS WHOSE FAIRNESS IS SOMEHOW QUESTIONED.

2 SO WE RESPECTFULLY URGE THE BOARD TO ADOPT
3 OUR PROPOSAL AS THE BEST WAY TO MOVE THIS FORWARD.

4 THANK YOU.

5 MR. REED: THIS IS LIKE THE SUPER BOWL.
6 WHOEVER WINS WILL DO A FANTASTIC JOB. WHOEVER COMES
7 IN SECOND WOULD ALSO HAVE DONE A FANTASTIC JOB. I
8 DON'T LIKE THE IDEA OF DOING TWO. I THINK THAT WE
9 HAVE TOO LITTLE MONEY LEFT. I THINK WE HAVE TO BE
10 VERY CAREFUL TO HUSBAND OUR RESOURCES CAREFULLY.

11 I DO THINK THAT WE'RE JUDGING A LITTLE BIT
12 APPLES AND ORANGES THOUGH. I THINK THAT UCSD PUTS A
13 TREMENDOUS FINANCIAL GIFT WITH THE ILLUMINA. I
14 THINK THAT IS A VALUE WHICH I DON'T SEE REFLECTED ON
15 THE SCORE. I'M SURE STANFORD WILL DO A FANTASTIC
16 JOB. EITHER WILL DO GREAT. I DON'T THINK WE SHOULD
17 DO TWO. I THINK WE HAVE TO BE CAREFUL NOW. THANK
18 YOU.

19 DR. PECKMAN: MY NAME IS STEVE PECKMAN.
20 I'M THE ASSOCIATE DIRECTOR OF THE UCLA STEM CELL
21 RESEARCH CENTER. AND WE SUBMITTED AN APPLICATION.
22 IT SEEMS TO ME THAT THE ICOC HAS ALREADY DETERMINED
23 THE OUTCOME FOR THE DATA COORDINATION AND MANAGEMENT
24 COMPONENT OF THIS RFA. AND PERSONALLY I THINK
25 YOU'VE DONE AN EXCELLENT JOB. UC SANTA CRUZ IS A

BARRISTERS' REPORTING SERVICE

1 WORLD LEADER IN THE AREA FOR BIOINFORMATICS.

2 I WOULD ALSO SAY THAT WE TOTALLY ENDORSE
3 THE PROPOSAL, RADICAL THOUGH IT MAY SEEM, FROM UCSF,
4 WHICH IS THE GRANTS WORKING GROUP DID AN AMAZING JOB
5 REVIEWING THE SCIENCE. WE HAVE AN UNPRECEDENTED
6 NUMBER OF CAMPUSES REPRESENTED IN TERMS OF
7 RECOMMENDED FOR FUNDING. YOU'VE BROUGHT THE GENOME
8 CENTERS TOGETHER, AND YOU'VE GOTTEN UNIVERSITIES AND
9 PRIVATE ENTITIES TO COLLABORATE.

10 NOW IT SEEMS THE GOAL OF THE ICOC WOULD BE
11 TO PROMOTE THE RESEARCH. AND THAT'S WHAT'S
12 REPRESENTED THROUGH THE PROJECTS FORWARDED BY THE
13 CENTERS. SO WE WOULD STRONGLY ENCOURAGE YOU TO
14 ADOPT THE IDEA THAT WAS JUST PRESENTED BY UCSF.
15 THANK YOU.

16 DR. LORING: I'M YIELDING THE FLOOR TO MY
17 ESTEEMED COLLEAGUE. I'LL LET MIKE GO FIRST.

18 DR. SNYDER: WELL, THANKS. I'M MICHAEL
19 SNYDER. I'M CHAIR OF GENETICS AND HEAD OF THE
20 CENTER FOR GENOMICS AND PERSONALIZED MEDICINE AT
21 STANFORD. I ALSO HAVE GATHERED THE DIRECTOR OF THE
22 APPLICATION RECOMMENDED FOR FUNDING. JOE ECKER,
23 SITTING NEXT TO ME, IS THE CO-DIRECTOR.

24 SO I'LL TELL YOU WE PUT A LOT OF THOUGHT
25 INTO THIS. WE REALLY REACHED ALL AROUND CALIFORNIA

BARRISTERS' REPORTING SERVICE

1 TO TRY AND PUT TOGETHER THE VERY BEST GENOMICS
2 CENTER WE COULD. WE BROUGHT TOGETHER LEADING STEM
3 CELL SCIENTISTS, LEADING GENOMICISTS TO REALLY HAVE
4 A VERY HIGH IMPACT CENTER. AND WE FELT THAT'S WHAT
5 WE DID. WE BROUGHT IN SEVEN INSTITUTIONS. IT'S ALL
6 THE ONES YOU HEARD MENTIONED EARLIER. THE LUDWIG
7 WAS LEFT OUT, BUT THAT'S IN THERE AS WELL.

8 AND WE BROUGHT ALL THESE GROUPS TOGETHER;
9 AND AS FAR AS I CAN TELL, THAT'S PRETTY
10 UNPRECEDENTED. I DON'T KNOW THAT I'VE EVER SEEN
11 SEVEN GROUPS FROM CALIFORNIA ALL WORKING TOGETHER
12 WITH A COMMON GOAL, WHICH IS REALLY TO ADVANCE STEM
13 CELL GENOMICS IN OUR STATE. WE THINK WITH THAT TEAM
14 WE CAN REALLY HAVE A VERY HIGH IMPACT CENTER.

15 ALSO, PRETTY AMAZING TO ME IS WE GOT ALL
16 OF THESE INSTITUTIONS TO GIVE SUPPORT, NOT JUST
17 FACILITIES. PROBABLY EVERYBODY DID THAT FOR THEIR
18 APPLICATIONS. AND WE BUILT A BRAND-NEW FACILITY.
19 YOU CAN COME VISIT IT. IT'S AMAZING. AND IT HOLDS
20 LOTS OF SEQUENCERS. IT LOOKS GREAT. BUT WE DID
21 MORE THAN THAT. WE ROUNDED UP SUPPORT FROM THE
22 ADMINISTRATIONS OF OUR VARIOUS INSTITUTIONS, AND
23 THEY ALL CONTRIBUTED. MY COUNT ACTUALLY HAD IT AT
24 \$7 MILLION. IT COULD DROP A LITTLE THAT PROJECT 3
25 AND CERTAINLY PROJECT 2 AREN'T FUNDED, BUT IT WILL

BARRISTERS' REPORTING SERVICE

1 STILL BE VERY SUBSTANTIAL. I'M QUITE CONFIDENT IT
2 WOULD STILL BE AT LEAST OVER FOUR MILLION. IT WILL
3 BE A LOT OF MONEY.

4 NOW, 40 MILLION OR WHATEVER THIS FINAL
5 NUMBER IS GOING TO BE, 30 MILLION, IS A LOT OF
6 MONEY, BUT GENOMICS EATS IT UP VERY FAST. THE
7 MACHINES ARE EXPENSIVE, THE CONSUMABLES ARE
8 EXPENSIVE, THE EXPERTISE NEEDED TO RUN THIS STUFF IS
9 INCREDIBLY SOPHISTICATED. AND YOU REALLY DO NEED
10 TOPNOTCH THINGS. AND THIS EXTRA SUPPORT, EVEN
11 THOUGH IT MAY NOT HAVE BEEN REQUIRED IN THE
12 APPLICATION, IT WILL STRETCH TAXPAYER'S DOLLARS A
13 LOT FURTHER. AND WE REALLY MADE THE EXTRA EFFORT TO
14 BRING IN THAT SUPPORT SO WE CAN HAVE EVEN HIGHER
15 IMPACT THAN WHAT CIRM WAS WILLING TO COMMIT IN THE
16 FIRST PLACE.

17 SO I THINK WE'VE REALLY STEPPED UP TO THE
18 CHALLENGE AND PUT TOGETHER WHAT WE THINK IS A
19 WORLD-CLASS CENTER.

20 THE OTHER THING I'D LIKE TO SAY THAT'S
21 SPECIAL ABOUT OUR CENTER IS GENOMICS ISN'T JUST
22 SEQUENCING GENOMES. AND WE HAVE A GREAT
23 COLLABORATION WITH ILLUMINA. YOU HEARD THAT
24 ALREADY. THAT'S PART OF IT. BUT, QUITE FRANKLY,
25 GENOMICS IS ALSO FOLLOWING GENE EXPRESSION, MAPPING

BARRISTERS' REPORTING SERVICE

1 REGULATORY SITES, DOING SINGLE CELL GENOMICS. WE
2 HAVE ALL OF THAT EXPERTISE IN OUR CENTER. IN FACT,
3 WE HAVE MOST OF THE INVENTORS OF THAT EXPERTISE IN
4 OUR CENTER BETWEEN JOE ECKER IN MY GROUP AND STEVE
5 QUAKE'S GROUP. MOST OF THE EXISTING TECHNOLOGIES
6 THAT ARE OUT THERE WERE INVENTED BY OUR CENTERS.

7 NOT ONLY THAT, WE ACTUALLY HAVE THE
8 PIPELINES NEEDED FOR PROCESSING DATA IN A UNIFORM
9 FASHION. THIS IS A BIG PART OF THE RFA. I'M NOT
10 SURE EVERYONE APPRECIATES THIS. TO TAKE DATA, THIS
11 IS BIG DATA, PROCESS IT IN A UNIFORM FASHION, GET IT
12 IN A FASHION THAT'S DISPLAYABLE TO THE PUBLIC TAKES
13 YEARS OF EXPERIENCE. WE HAVE EIGHT TO NINE YEARS OF
14 EXPERIENCE TO BE ABLE TO DO THIS SORT OF THING.
15 IT'S NOT TRIVIAL. IT COSTS MILLIONS OF DOLLARS, AND
16 WE'VE INVESTED VERY HEAVILY TO BE ABLE TO BRING THAT
17 EXPERTISE INTO OUR CENTER. AND BETWEEN JOE'S GROUP
18 AND OUR GROUP, WE CAN DO THAT. WE CAN DELIVER. AND
19 I THINK THAT'S WHY OUR INSTITUTIONS STEPPED UP
20 BECAUSE THEY KNOW WE HAVE GREAT PROJECTS, THEY KNOW
21 WE HAVE THE EXPERTISE TO BE ABLE TO DO THIS. AND
22 WE'RE ACTUALLY THE ONLY GROUP, AS FAR AS I KNOW,
23 THAT'S WORKED WITH UCSC AT THIS LEVEL. CERTAINLY AT
24 THE LEVEL WE'RE TALKING ABOUT. AND WE HAVE VERY
25 DEFINED RELATIONSHIPS TO BE ABLE TO DO THIS IN A

BARRISTERS' REPORTING SERVICE

1 VERY SEAMLESS FASHION.

2 THE LAST COMMENT I'LL MAKE IS I WILL MAKE
3 A BIG PITCH FOR CIP-2 BECAUSE, IN FACT, SINGLE CELL
4 GENOMICS IS THE HOT FIELD THESE DAYS. AND, IN FACT,
5 IT WAS RECOGNIZED AS THE TECHNOLOGY OF THE YEAR FOR
6 2013. AND WE HAVE THE WORLD'S LEADERS THERE. WE
7 THINK THE PROJECT WE'VE SET UP IS REALLY TERRIFIC,
8 AND SO I WOULD URGE YOU TO INCLUDE IT. I WAS HOPING
9 TO BE ABLE TO URGE YOU TO INCLUDE CIP-3. I CAN SEE
10 THAT'S GOING TO BE A TOUGH SELL AFTER THIS
11 DISCUSSION, BUT WE DO THINK THE ADDITION OF
12 EPIGENOMICS AND THE ADDITION OF NEURODEGENERATIVE
13 DISEASES, THAT'S A PROJECT ON SCHIZOPHRENIA, WOULD
14 BE VALUABLE TO THE CENTER.

15 SO I DO WANT TO URGE YOU TO ACTUALLY FUND
16 OUR CENTER. WE THINK WE HAVE ALL THE COMPONENTS IN
17 PLACE. WE ACTUALLY THINK WE'RE UNIQUELY SUITED FOR
18 THIS. THERE'S A REASON OUR MEDIAN SCORE IS 90,
19 WHICH IS QUITE A BIT HIGHER THAN THE OTHERS. AND
20 THAT'S BECAUSE WE HAVE ALL THE ELEMENTS IN PLACE.
21 WE HAVE THE INSTITUTIONAL COMMITMENTS, WE HAVE THE
22 EXPERTISE, AND WE HAVE THE KNOW-HOW, PLUS WE HAVE
23 THE DEDICATION AND COMMITMENT OF A LOT OF THE
24 LEADERS IN OUR FIELD. SO, ANYWAY, I URGE YOU TO
25 SUPPORT OUR CENTER AND ITS VARIOUS PROJECTS.

BARRISTERS' REPORTING SERVICE

1 THANKS.

2 DR. PRIETO: OKAY. DR. LORING.

3 DR. LORING: THANK YOU AGAIN. I'M JEANNE
4 LORING AND WITH ME IS MOSTAFA RONAGHI AT ILLUMINA.
5 WE'RE CO-PROJECT DIRECTORS FOR THE CENTER FOR
6 ADVANCED STEM CELL GENOMICS, WHICH IS THE ONE THAT
7 WAS SCORED THIRD ON YOUR LIST.

8 ALL THREE OF OUR PROJECTS REMAINED INTACT,
9 SO WE HAD NO CHANGES IN OUR SCORES. AS YOU CAN SEE,
10 OUR RANGE WAS FROM 70 TO 88, AND 70 WAS ALSO THE
11 LOWEST SCORE FOR STANFORD, WHICH I THINK PROBABLY
12 WAS THE SAME REVIEWER.

13 ANYWAY, SO WHAT I WANT TO TELL YOU IS JUST
14 A COUPLE OF FACTS, AND THEN I'M GOING TO TELL YOU
15 SOMETHING ABOUT OUR PHILOSOPHY, WHICH IS QUITE
16 DIFFERENT FROM THE OTHERS.

17 ONE OF THE ISSUES THAT CAME UP EARLY IS
18 SINGLE CELL GENOMICS. I JUST WANT TO POINT OUT THAT
19 WE HAD A PAPER IN *NATURE BIOTECHNOLOGY*. IT WAS A
20 COLLABORATION BETWEEN MY LAB AND ILLUMINA IN WHICH
21 WE DID THE FIRST WHOLE GENOME TRANSCRIPTOME ANALYSIS
22 OF SINGLE CELLS. WE WERE LOOKING AT CANCER STEM
23 CELLS AND THE PATIENT'S BLOOD FROM MELANOMA
24 PATIENTS. SO WE ACTUALLY CAN LEGITIMATELY CLAIM TO
25 BE THE FIRST. CERTAINLY IT'S A VERY CROWDED FIELD,

BARRISTERS' REPORTING SERVICE

1 AND WE MAY NO LONGER BE THE BEST, BUT WE CERTAINLY
2 WERE THE FIRST.

3 SO WHAT I WANT TO TELL YOU IS ABOUT OUR
4 PHILOSOPHY AND THE DIFFERENCES. I'VE HEARD THE WORD
5 "INDUSTRY," I THINK IT WAS, 32 TIMES TODAY. AND IN
6 OTHER MEETINGS I'VE HEARD BEFORE INDUSTRY HAS COME
7 UP AS BEING EXTREMELY VALUABLE FOR THE FUTURE OF
8 CIRM AND HOW CIRM'S FUNDING WILL BE ABLE TO BE
9 PROJECTED INTO THE FUTURE AFTER THE BOND MEASURES
10 ARE OVER. SO WE WROTE THIS APPLICATION WITH THAT IN
11 MIND.

12 WHAT WE PROPOSE TO DO IS TO PUT -- IS TO
13 INVEST THE MONEY IN CREATING NEW TOOLS FOR GENOMICS
14 RESEARCHERS, WHICH IS SOMETHING WE'VE ALREADY BEEN
15 DOING AND ILLUMINA IS THE BEST IN THE WORLD AT. I
16 DON'T KNOW IF ALL OF YOU ARE FAMILIAR WITH ILLUMINA,
17 BUT IT TOOK QUITE A JUMP IN ITS STOCK PRICE A COUPLE
18 OF WEEKS AGO BECAUSE IT'S NOW PROMISING A \$1,000
19 SEQUENCE. WHAT I'M TRYING TO TELL YOU IS WE HAVE,
20 WITH THEM AS PARTNERS, WE HAVE ACCESS TO ALL THAT
21 TALENT, ALL THAT INFRASTRUCTURE.

22 WE DID NOT BRING IN EXTRA MONEY FROM
23 SCRIPPS. THAT WOULD HAVE BEEN PRETTY DIFFICULT TO
24 DO. AND ILLUMINA IS NOT ALLOWED TO HAVE DONATED
25 EXTRA MONEY. AS A PUBLIC COMPANY, THEY DIDN'T FEEL

BARRISTERS' REPORTING SERVICE

1 COMFORTABLE IN DOING THAT. HOWEVER, THEY DID OFFER
2 TO PROVIDE THE INFRASTRUCTURE. AND I THINK THAT'S
3 MUCH MORE VALUABLE THAN ANY FEW MILLIONS OF DOLLARS.

4 WE DO NOT INTEND TO SPEND THIS MONEY FOR
5 FIVE YEARS AND QUIT. WE INTEND TO INVEST THIS MONEY
6 OVER FIVE YEARS AND CREATE TOOLS THAT CAN BE USED
7 FOR THE CLINICAL APPLICATION OF STEM CELLS FOR
8 DIAGNOSTIC TESTS TO TEST WHETHER THE STEM CELLS ARE
9 USABLE FOR TRANSPLANTATION. WE'RE DOING THAT
10 INDEPENDENTLY IN OUR LAB, AND WE'VE DEVOTED -- WE
11 GOT VERY HIGH MARKS FOR OUR COLLABORATIVE PROPOSAL
12 BECAUSE OUR INTENTION IS TO BRING THESE TOOLS TO ALL
13 THE STEM CELL RESEARCHERS IN CALIFORNIA. AND WE
14 KNOW WHAT THAT TAKES BECAUSE THAT'S WHAT WE'VE BEEN
15 DOING.

16 I JUST WANT TO CLOSE -- IS MY TIME UP? IT
17 FIGURES. OKAY. YOU CAN READ MY -- I WAS GOING TO
18 TELL YOU SOME OF THE SELECTIVE COMMENTS, BUT YOU'LL
19 SEE THEY'RE ALL REALLY POSITIVE WITH THE EXCEPTION
20 OF NOT PROVIDING EXTRA MONEY.

21 DR. PRIETO: YES, MICHAEL.

22 DR. FRIEDMAN: IF I COULD MAKE ONE OR TWO
23 COMMENTS, PLEASE. I CAN'T VOTE ON THIS, BUT WOULD
24 LIKE TO JUST MAKE SOME -- SHARE SOME THOUGHTS. I
25 THINK THOSE WHO CAN VOTE ON THIS FACE SOME

BARRISTERS' REPORTING SERVICE

1 LEGITIMATELY CHALLENGING DECISIONS. THIS IS REALLY
2 HARD. AND I THINK THE REASON FOR THAT IS AMPLY
3 DEMONSTRATED BY THE REALLY SUPERB QUALITY OF
4 INDIVIDUALS WHO ARE COMPETING FOR THIS. THESE ARE
5 REALLY EXCELLENT PEOPLE, AND THAT'S DEMONSTRATED.

6 AND WHILE THE PROCESS -- WHILE THE REVIEW
7 PROCESS, I THOUGHT, WAS GOOD AND APPROPRIATE, IT
8 COULD HAVE BEEN BETTER. IT COULD HAVE BEEN CLEARER.
9 AND I CERTAINLY RECOGNIZE WAYS IN WHICH THAT
10 CERTAINLY COULD HAVE BEEN MADE MORE PRECISE.

11 I WOULD URGE, WHEN THE VOTE ACTUALLY
12 COMES, FOR PEOPLE TO ACCEPT THE RECOMMENDATIONS OF
13 THE REVIEW STAFF. I THINK THAT WHILE THERE ARE MANY
14 GOOD APPLICANTS HERE, HAVING ONE INTEGRATED PROPOSAL
15 FOR THE REASONS THAT THEY SUGGESTED STRIKES ME --

16 DR. PRIETO: ARE YOU SAYING OF THE
17 SCIENTIFIC STAFF OR OF THE REVIEW WORKING GROUP?
18 THOSE ARE TWO DIFFERENT THINGS.

19 DR. FRIEDMAN: THE SCIENTIFIC STAFF.
20 THANK YOU.

21 DR. ECKER: IS THERE STILL PUBLIC COMMENT?

22 DR. PRIETO: YES. IF YOU COULD INTRODUCE
23 YOURSELF.

24 DR. ECKER: YEAH. MY NAME IS DR. JOE
25 ECKER. I'M A HOWARD HUGHES MEDICAL INVESTIGATOR AT

BARRISTERS' REPORTING SERVICE

1 THE SALK INSTITUTE AND CO-DIRECTOR OF THE CENTER
2 THAT MIKE SNYDER IS RUNNING AT STANFORD. I JUST
3 THOUGHT I'D ADD A FEW POINTS AND SOME CLARIFICATION.

4 IN THE FIRST ROUND OF THIS PROPOSAL, CALL
5 FOR PROPOSALS, I WAS THE DIRECTOR OF MY OWN CENTER,
6 AND WE HAD SEVERAL GROUPS INVOLVED IN SAN DIEGO.
7 AND AFTER DISCUSSION WITH THE STAFF AND THE IDEA
8 THAT ONE CENTER WOULD BE MORE EFFECTIVE, AND I AGREE
9 WITH THAT, WE JOINED WITH MIKE'S GROUP. WE'VE
10 WORKED TOGETHER OVER THE YEARS FOR SEVERAL NIH
11 PROJECTS, THE ENCYCLOPEDIA OF FUNCTIONAL ELEMENTS
12 FOR THE HUMAN GENOME, THE ROADMAP PROJECT, AND
13 SEVERAL OTHERS THAT WE WOULD COME TOGETHER.

14 SO OUR GROUP ACTUALLY IS EIGHT
15 INSTITUTIONS BECAUSE I'M A HOWARD HUGHES
16 INVESTIGATOR AS IS STEVE QUAKE ON THE CIP-2 AS IS
17 DAVE HAUSSLER, SO THERE'S THAT AS WELL.

18 ALSO, IN TERMS OF INDUSTRY, WE DO HAVE, AS
19 MIKE MENTIONED, A SIGNIFICANT SUBCONTRACT TO
20 ILLUMINA SO THAT WE SUPPORT SAN DIEGO INDUSTRY, BUT
21 I'D LIKE TO POINT OUT THAT A NUMBER OF COMPANIES
22 HAVE BEEN FOUNDED BY MEMBERS OF OUR GROUP. AS MIKE
23 MENTIONED, MANY TECHNOLOGIES HAVE BEEN DEVELOPED,
24 PIONEERED BY MEMBERS OF OUR GROUP, AND COMPANIES
25 HAVE BEEN FOUNDED; FOR EXAMPLE, SEVERAL COMPANIES BY

BARRISTERS' REPORTING SERVICE

1 STEVE QUAKE. AND MOST OF THE TECHNOLOGIES THAT
2 WE'RE PROPOSING TO DEPLOY FOR BOTH CALIFORNIA
3 INVESTIGATORS THROUGH THE COLLABORATIVE PROJECT AS
4 WELL AS ULTIMATELY CALIFORNIA PATIENTS HAVE BEEN
5 PIONEERED BY MEMBERS OF OUR GROUP.

6 AND IT SHOULDN'T BE UNDERSTATED AS TO HOW
7 MUCH OF A CHALLENGE IT IS TO ESTABLISH TRUE GENOMICS
8 PIPELINES TO BE ABLE TO DELIVER THIS KIND OF DATA TO
9 GROUPS LIKE UCSC. AND IT'S TAKEN, IN FACT, THE
10 BETTER PART OF THE LAST YEAR JUST TO ESTABLISH NEW
11 PIPELINES WITH THEM AS PART OF THE ENCODE 3 PROJECT
12 WHICH WE'RE INVOLVED WITH BECAUSE OF THE CHALLENGING
13 NATURE OF THE DATA. SO I WOULDN'T UNDERESTIMATE
14 THE, QUOTE, EXPERIENCE REQUIRED TO CARRY OUT THIS AS
15 AN EFFECTIVE PROJECT TO DELIVER THE KIND OF DATA
16 THAT CALIFORNIA STEM CELL INVESTIGATORS NEED.

17 AND THE LAST POINT WILL BE IN TERMS OF
18 BUDGET. AS MIKE MENTIONED, GENOMICS -- WHEN I
19 MENTIONED THIS TO AN NIH PROGRAM PERSON ABOUT WHAT
20 THE BUDGET WAS, THEY THOUGHT IT WAS A PILOT PROJECT.
21 IN OTHER WORDS, THE CHALLENGE IN TRYING TO DELIVER
22 EFFECTIVE GENOMICS TO A COLLABORATOR IS REALLY
23 LIMITED WITH THE BUDGET. IN FACT, I WOULD SUPPORT
24 DR. BURTIS' SUGGESTION THAT TO REALLY ENABLE SOME OF
25 THE OUTSTANDING PROJECTS THAT HAVE BEEN DESCRIBED BY

BARRISTERS' REPORTING SERVICE

1 SOME OF THE OTHER CENTER PROPOSALS, THAT IF YOU WERE
2 TO INCREASE THAT COLLABORATIVE PROJECT BUDGET, SOME
3 OF THOSE COULD ACTUALLY GET DONE WITHIN -- RATHER
4 THAN TRYING TO SAVE MONEY, REALLY ENABLE THE KIND OF
5 GENOMIC SCIENCE THAT I THINK CALIFORNIA STEM CELL
6 INVESTIGATORS NEED. SO THANKS VERY MUCH.

7 DR. PRIETO: OKAY. WE COULD TALK ABOUT
8 THAT. I THINK IT WOULD BE A SEPARATE ISSUE. SO
9 RIGHT NOW, MR. HARRISON, IF YOU COULD RESTATE THE
10 MOTION AND THEN CALL THE ROLL. WE HAVE ANOTHER
11 COMMENT? TWO MORE COMMENTS. INTRODUCE YOURSELF.

12 DR. BALDWIN: I'M KRISTIN BALDWIN, AN
13 ASSOCIATE PROFESSOR AT SCRIPPS RESEARCH INSTITUTE
14 AND ALSO ADJUNCT AT UCSD. AND I'VE BEEN A PREVIOUS
15 CIRM GRANTEE SINCE I JUST STARTED MY LAB, I GUESS,
16 EIGHT YEARS AGO. IN BOTH OF THE GRANTS I CAME IN AS
17 A BIOLOGIST AND PLANNED TO USE GENOMICS. AND I'M
18 TELLING YOU THAT SO THAT YOU CAN UNDERSTAND WHY I
19 CHOSE TO BE ON THE PROJECT I'M ON, WHICH I'M A CO-PI
20 WITH MIKE SNYDER, RATHER THAN SOME OF THE OTHER
21 INITIATIVES AROUND THE STATE OF CALIFORNIA.

22 I HAVE A REAL PASSION AND INTEREST IN
23 GENOMICS AS IT APPLIES TO STEM CELLS. OUR LAB MADE
24 THE FIRST STEM CELLS THAT MAKE MICE. WE DID THE
25 FIRST GENOME SEQUENCING OF THEM AND FOUND THAT THEY

BARRISTERS' REPORTING SERVICE

1 CAN BE SAFER THAN OTHERS. AND WE NOW HAVE A CIRM
2 GRANT TO DO A LOT OF WHAT THIS LARGER GRANT WOULD
3 DO, WHICH IS TRY TO FIND WAYS TO REDUCE THE NUMBER
4 OF MUTATIONS AND ABERRATIONS IN INDUCED PLURIPOTENT
5 STEM CELLS FROM HUMANS.

6 AND SO WITH THAT PROJECT, INITIALLY I WAS
7 WITH A DIFFERENT GROUP, INCLUDING VCVI, AND AFTER
8 THE RESHUFFLING, I TALKED TO A LOT OF THE GROUPS,
9 AND THE ONE I CHOSE IS THE ONE I'M IN. AND I CHOSE
10 IT FOR THE REASONS, I THINK, THAT WERE ALSO WRITTEN
11 IN THE GRANT WORKING GROUP, WHICH IS THE GROUP HAS A
12 REALLY BROAD REPRESENTATION OF THE PEOPLE I ADMIRE
13 AND THINK ARE THE REAL GENOMICS PIONEERS OF OUR
14 GENERATION. AND WHEN I SAW WHAT TECHNOLOGIES THEY
15 WERE WORKING ON AND THEIR PASSION AND THE ADVANCES
16 THAT THEY HAD MADE, I SAID I WOULD REALLY LIKE TO BE
17 PART OF THIS GROUP. AND I WAS ABLE TO JOIN THE
18 GROUP AND LEARN A LOT.

19 SO EVEN THOUGH YOUR DECISION MAY BE BASED
20 ON DIFFERENT METRICS THAN MINE, I CAN ALSO SAY THAT
21 IN BETWEEN THE TWO RFA'S, MY FATHER WAS DIAGNOSED
22 WITH CANCER. AND WE PERFORMED WHOLE GENOME
23 SEQUENCING ON HIS CANCER, AND HE DIDN'T MAKE IT.
24 BUT DURING THAT TIME I REALIZED THAT WHOLE GENOME
25 SEQUENCING AND SEQUENCING OF STEM CELLS AND SINGLE

BARRISTERS' REPORTING SERVICE

1 CELLS IS SO CRITICALLY IMPORTANT TO PEOPLE'S LIVES.
2 AND SO JUST AS A RESEARCHER AND A DAUGHTER, I REALLY
3 THINK THE PROPOSAL THAT WE'RE ON IS THE BEST. AND I
4 WOULD ACTUALLY ALSO SUPPORT REALLY INCREASING THE
5 NUMBER OF COLLABORATIVE PROJECTS THAT CAN BE
6 SOLICITED IN THE COMMUNITY BECAUSE I SAW SO MUCH
7 GOOD SCIENCE THAT WAS PROPOSED FOR THESE GENOMICS
8 GRANTS THAT WE DIDN'T HAVE MONEY FOR. SO IF THAT
9 COULD BE DONE, I THINK IT WOULD BE REALLY GREAT.
10 THANKS.

11 DR. PRIETO: WE HAD ONE MORE COMMENT.

12 DR. NUNN: HELLO. MY NAME IS MIKE NUNN.
13 I'M EXECUTIVE DIRECTOR OF RESEARCH DEVELOPMENT AT
14 THE SALK INSTITUTE, AND I WAS INVOLVED IN BOTH THE
15 FIRST ROUND APPLICATION FROM OUR INSTITUTE AS WELL
16 AS BRINGING TOGETHER THIS COLLABORATION.

17 AND BEFORE I GO FURTHER, I WANT TO THANK
18 GIL SAMBRANO AND HIS TEAM FOR PERFORMING THIS
19 REVIEW. I WAS FORMERLY A REVIEW ADMINISTRATOR AND
20 PROGRAM DIRECTOR AT THE NIH, AND THERE WAS A
21 QUESTION EARLIER ABOUT THE REVIEW PROCESS AND
22 PROGRAMS AT NIH. I COULD ADDRESS THAT IF THERE'S AN
23 INTEREST. BUT I KNOW THAT IT'S VERY DIFFICULT TO
24 FIND PANELS THAT CAN DO THIS JOB AND TO GET IT
25 ACCOMPLISHED.

BARRISTERS' REPORTING SERVICE

1 THAT SAID, THE NIH PROCESS INCLUDES A
2 DISCUSSION OF SYNERGY. AND OFTEN THE CONSIDERATION
3 ON A PROGRAM PROJECT IS IS THE WHOLE GREATER THAN
4 THE SUM OF THE PARTS. AND IN THE PROPOSAL THAT WAS
5 MENTIONED BY UCSF AND UCLA, I BELIEVE, THE IDEA WAS
6 TO DISAGGREGATE THESE CENTERS AND REMOVE THE IDEA OF
7 THE SYNERGIES. AND THIS TEAM REALLY HAS SIGNIFICANT
8 SYNERGY. WE'VE BROUGHT TOGETHER ALL OF THESE PEOPLE
9 FROM UCSD, STANFORD, UC SANTA CRUZ WITH THE GOAL OF
10 PUTTING TOGETHER A CENTER THAT CAN ACTUALLY DO THE
11 JOB THAT NEEDS TO BE DONE.

12 AND I ALSO SUPPORT THE IDEA OF EXPANDING
13 THE COLLABORATIVE RESEARCH PROGRAM AS PERHAPS A
14 MECHANISM FOR BRINGING THAT EXPERTISE TO THE WHOLE
15 STATE. THANK YOU.

16 DR. PRIETO: OKAY. AGAIN, MR. HARRISON,
17 DO YOU WANT TO GO AHEAD AND RESTATE AND WE'D VOTE.

18 MR. HARRISON: YES. THE MOTION IS TO
19 ACCEPT THE STAFF RECOMMENDATION TO RETAIN
20 CENTER-INITIATED PROJECT NO. 2 IN APPLICATION 6673.

21 MS. BONNEVILLE: STEVE JUELSGAARD.

22 DR. JUELSGAARD: AYE.

23 MS. BONNEVILLE: LAUREN MILLER.

24 MS. MILLER: AYE.

25 MS. BONNEVILLE: JOE PANETTA.

BARRISTERS' REPORTING SERVICE

1 MR. PANETTA: AYE.

2 MS. BONNEVILLE: FRANCIS PRIETO.

3 DR. PRIETO: ABSTAIN.

4 MS. BONNEVILLE: ROBERT QUINT.

5 DR. QUINT: ABSTAIN.

6 MS. BONNEVILLE: JONATHAN THOMAS.

7 CHAIRMAN THOMAS: YES.

8 MS. BONNEVILLE: ART TORRES.

9 MR. TORRES: AYE.

10 MR. HARRISON: MOTION CARRIES BY A VOTE OF
11 FIVE YES AND TWO ABSTENTIONS.

12 DR. PRIETO: I'VE BEEN ASKED FOR A SHORT
13 BREAK. SO IF WE CAN RECESS FOR ABOUT TEN MINUTES,
14 AND THEN ALL BE BACK PROMPTLY.

15 (A RECESS WAS TAKEN.)

16 DR. PRIETO: ALL RIGHT. IF WE'RE BACK AND
17 CAN START AGAIN. WE'VE HAD A NUMBER OF DISCUSSIONS
18 ABOUT HOW TO PROCEED AND WHAT OUR OPTIONS ARE HERE.
19 SO I THINK J.T. IS INTERESTED IN MAKING A MOTION.
20 I'D LIKE TO RECOGNIZE HIM AND WE CAN DISCUSS THAT.
21 J.T.

22 CHAIRMAN THOMAS: THANK YOU, DR. PRIETO.
23 SO WE'RE TRYING TO JUGGLE A LOT OF THINGS HERE.
24 WE'VE OBVIOUSLY GOT A LOT OF VERY TALENTED FOLKS WHO
25 HAVE SUBMITTED HERE, AND WE HAVE A TEAM THAT

BARRISTERS' REPORTING SERVICE

1 RECEIVED THE TOP RECOMMENDATION THAT DOES HAVE
2 TREMENDOUS EXPERTISE, GEOGRAPHIC DIVERSITY, ETC.;
3 BUT, ON THE OTHER HAND, WE DO HAVE OTHER PROJECTS
4 OUTSIDE OF THAT GROUP THAT HAVE CONSIDERABLE MERIT.
5 WE'RE JUGGLING ALL OF THESE CONSIDERATIONS IN THE
6 CONTEXT OF A \$40 MILLION BUDGET. AND GIVEN THE
7 DISCUSSIONS OF LAST DECEMBER AND SUBSEQUENT
8 DISCUSSIONS, I DON'T BELIEVE ANYBODY ON THE BOARD
9 HAS AN INTEREST IN GOING BEYOND THAT BUDGETED
10 AMOUNT.

11 HOWEVER, HAVING SAID THAT, I WOULD LIKE TO
12 SUGGEST A POTENTIAL SORT OF COMPROMISE OF SORTS,
13 WHICH IS IF YOU TAKE THE NO. 1 RECOMMENDED GROUP
14 WITH THE REINCLUSION OF THAT ONE CENTER-INITIATED
15 PROJECT THAT WE JUST VOTED ON, THAT GETS THEM UP TO
16 A TOTAL BUDGET OF ROUGHLY 33 MILLION. OF THAT 12
17 MILLION IS FOR THE COLLABORATIVE FUNDING COMPONENT.

18 AND BEFORE I CONTINUE, DR. SAMBRANO, JUST
19 FOR EVERYBODY'S CLARIFICATION, BECAUSE I GUARANTEE
20 NOT EVERYBODY KNOWS WHAT COLLABORATIVE FUNDING
21 COMPONENT MEANS, IF YOU COULD JUST DESCRIBE WHAT
22 THAT IS, AND THEN I'LL CONTINUE.

23 DR. SAMBRANO: RIGHT. SO ONE OF THE
24 COMPONENTS OF ALL THE GENOMICS PROPOSALS WAS TO HAVE
25 A COLLABORATIVE RESEARCH COMPONENT. AND THAT WAS A

BARRISTERS' REPORTING SERVICE

1 COMPONENT WHICH ALLOWS, THROUGH A REVIEW PROCESS
2 THAT IS SET UP, THE GENOMICS PROPOSALS FROM OTHER
3 POTENTIAL COLLABORATORS FROM VARIOUS INSTITUTIONS.
4 SO IT IS A MECHANISM BY WHICH, FOR EXAMPLE, A
5 PROJECT THAT WAS PROPOSED IN A DIFFERENT APPLICATION
6 COULD COME IN AND PARTICIPATE WITH THE THEN
7 ESTABLISHED GENOMICS CENTER.

8 A LOT OF WHAT WAS ENVISIONED THERE WERE
9 SMALL-SCALE PROJECTS, NOT OF THE SCALE THAT THE
10 CENTER-INITIATED PROJECTS ARE. CENTER-INITIATED
11 PROJECTS ARE ON THE RANGE OF ABOUT FIVE MILLION
12 EACH. WE WERE ENVISIONING SOMETHING MUCH SMALLER
13 SCALE THAN THAT THAT WOULD ALLOW MULTIPLE
14 INSTITUTIONS AND MULTIPLE INVESTIGATORS TO TAKE
15 ADVANTAGE OF THE RESOURCES THAT ARE DEVELOPED BY
16 THIS GENOMIC CENTER. IT'S ESSENTIALLY THE CORE
17 COMPONENT OF HOW IT REACHES OUT AND FUNCTIONS AS A
18 RESOURCE.

19 AND SO IN THE APPLICATION THAT IS RANKED
20 AT THE TOP, THAT COMPONENT HAS A BUDGET OF 12
21 MILLION.

22 CHAIRMAN THOMAS: OKAY. SO WHAT I WOULD
23 LIKE TO PROPOSE IS WE HAVE 33 MILLION TO THE NO. 1
24 RECOMMENDED GROUP, THAT WE APPROVE THAT AWARD AND
25 THAT WE ADD TO THE AWARD AN ADDITIONAL 7 MILLION TO

BARRISTERS' REPORTING SERVICE

1 GET US TO A TOTAL OF 40, OUR BUDGETED AMOUNT. THAT
2 WOULD -- THE ADDITIONAL SEVEN WOULD ALSO BE FOR
3 COLLABORATIVE RESEARCH PROGRAMS. AND IF YOU TOOK
4 THE ADDED SEVEN AND THE EXISTING TWELVE, YOU ADDED
5 THAT TO A TOTAL OF 19 MILLION GOING TO COLLABORATIVE
6 RESEARCH, I WOULD PROPOSE THAT WE TAKE TEN OF THAT
7 AND GIVE A PRIORITY IN THE DECISION ON WHERE TO PUT
8 THAT TO CENTER-INITIATED PROJECTS CURRENTLY IN THE
9 OTHER GROUPS OUTSIDE OF THE ONE THAT I'M
10 RECOMMENDING FOR FUNDING, AND NINE OF THAT WOULD GO
11 TO THE SMALLER PROJECTS AS ORIGINALLY ENVISIONED
12 THAT DR. SAMBRANO JUST DESCRIBED.

13 SO TO RECAP, I WOULD LIKE TO MAKE A MOTION
14 TO APPROVE 6673 AS AMENDED BY THE PREVIOUS VOTED-ON
15 MOTION, THAT WE ADD TO THAT AWARD AN ADDITIONAL 7
16 MILLION TO GET TO 40 TO GIVE US A TOTAL OF 19
17 MILLION FOR COLLABORATIVE RESEARCH, TEN OF WHICH IS
18 TO GO TO CENTER -- TO GIVE A PRIORITY TO
19 CENTER-INITIATED PROJECTS, WHICH WOULD MEAN THAT AT
20 LEAST ANOTHER COUPLE OF THOSE THAT HAVE NOT BEEN
21 FUNDED COULD POSSIBLY GET FUNDED IF THE GENOMICS
22 CENTER DECIDED THEY WERE THE WINNING APPLICANTS, THE
23 BALANCE TO GO TO THE SMALLER PROJECTS ENVISIONED
24 ORIGINALLY BY THE RFP.

25 AND, MR. HARRISON, IF YOU CAN POSSIBLY

BARRISTERS' REPORTING SERVICE

1 REPEAT THAT, THAT WILL BE VERY IMPRESSIVE.

2 DR. SAMBRANO: CAN I FIRST GIVE YOU JUST A
3 LITTLE BIT MORE OF A PRECISE NUMBER BECAUSE IT IS
4 NOT QUITE 7 MILLION THAT IT WOULD REQUIRE TO REACH
5 THE 40 MILLION. IT'S ACTUALLY 6.67 MILLION. SO
6 IT'S 6,672,928.

7 CHAIRMAN THOMAS: SO NOTED. FRIENDLY
8 AMENDMENT ACCEPTED.

9 DR. TROUNSON: SO CAN I JUST ASK YOU --
10 SORRY, J.T. CAN I JUST ASK YOU THAT THOSE DECISIONS
11 WOULD BE MADE BY THAT INDEPENDENT --

12 CHAIRMAN THOMAS: YES.

13 DR. TROUNSON: OKAY. SO UNDER THOSE
14 CIRCUMSTANCES, I'D BE STRONGLY SUPPORTIVE OF WHAT
15 YOU SUGGESTED. WE DID NOT TALK ABOUT IT, SO I'M
16 JUST TELLING YOU THAT I THINK THAT THAT SOUNDS LIKE
17 A VERY GOOD IDEA.

18 CHAIRMAN THOMAS: THANK YOU.

19 MR. TORRES: THAT ALSO INCLUDES, THAT'S
20 ALSO IN THE RFA ALREADY, INCLUSION OF STAFF TO
21 PARTICIPATE IN THAT DECISION-MAKING, CORRECT?

22 DR. SAMBRANO: CORRECT.

23 MR. TORRES: ALL RIGHT.

24 MS. WINOKUR: I'M NOT CLEAR ON WHO WOULD
25 MAKE THE DECISION ON WHICH...

BARRISTERS' REPORTING SERVICE

1 DR. YAFFE: IT STATES IN THE RFA THAT THE
2 GENOMICS CENTER WILL SET UP A COMMITTEE WHICH WILL
3 CONSIDER COLLABORATIVE -- PROPOSALS FOR
4 COLLABORATIVE PROJECTS. AND THAT COMMITTEE NEEDS TO
5 HAVE REPRESENTATION BY GENOMICS EXPERTS AND STEM
6 CELL EXPERTS, AND CIRM STAFF WILL ALSO BE ON THAT
7 COMMITTEE. WE'LL MONITOR ITS ACTIVITIES, WE'LL
8 PARTICIPATE IN ITS ACTIVITIES, AND WE WILL REPORT
9 BACK TO YOU ON EXPENDITURE OF FUNDS THROUGH THE
10 COLLABORATIVE PROGRAMS.

11 CHAIRMAN THOMAS: WILL CIRM STAFF HAVE A
12 VOTE IN THAT?

13 DR. YAFFE: ABSOLUTELY.

14 DR. PRIETO: YES, MR. JUELSGAARD.

15 DR. FINE: I'D LIKE TO ASK A QUESTION.

16 DR. PRIETO: WHO IS THIS?

17 DR. FINE: LEON FINE.

18 DR. PRIETO: I'M AFRAID I THINK YOU'RE NOT
19 ABLE TO COMMENT ON THIS. I'M SORRY.

20 CHAIRMAN THOMAS: I THINK YOU'RE
21 CONFLICTED, LEON. SORRY.

22 DR. PRIETO: MR. JUELSGAARD.

23 DR. JUELSGAARD: SO, J.T., WHAT EXACT
24 PROBLEM ARE YOU TRYING TO SOLVE HERE BY THROWING
25 MORE MONEY AT THIS?

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: IT'S NOT A PROBLEM I'M
2 TRYING TO SOLVE. I AM TRYING TO ACKNOWLEDGE THAT
3 THERE WERE SOME VERY HIGHLY RATED INDIVIDUAL
4 CENTER-INITIATED PROJECTS IN THE THREE GROUPS THAT
5 WE ARE NOT AWARDED THAT WOULD GIVE -- THIS WOULD
6 HAVE THE OPPORTUNITY FOR THEM POTENTIALLY TO GET
7 FUNDING UNDER THE PROGRAM.

8 DR. PRIETO: WHILE REMAINING WITHIN OUR
9 ORIGINAL BUDGET.

10 DR. JUELGAARD: BUT YOU USED THE WORD
11 "POTENTIALLY," RIGHT?

12 CHAIRMAN THOMAS: YES.

13 DR. JUELGAARD: SO THERE'S NO ASSURANCE
14 THAT ANY OF THOSE PROJECTS, WHICH MIGHT BE REALLY
15 GREAT PROJECTS, WILL EVER FIND THEIR WAY INTO THIS
16 PROGRAM.

17 CHAIRMAN THOMAS: THAT'S CORRECT.

18 DR. JUELGAARD: THAT'S A HYPOTHETICAL.

19 DR. PRIETO: WE WOULD -- PRIORITY WOULD BE
20 GIVEN TO THOSE, BUT THERE'S NO ASSURANCE THAT ANY
21 ONE PROJECT WOULD BE FUNDED BY US.

22 CHAIRMAN THOMAS: NOT BY US, BY THEM.

23 DR. PRIETO: OR BY THE CENTER WITH THE
24 MONEY THAT WE'RE PROVIDING, BUT IT WOULD ALLOW FOR
25 THE POSSIBILITY. THESE ARE MERITORIOUS PROJECTS.

BARRISTERS' REPORTING SERVICE

1 THE WORKING GROUP FELT THAT THEY WERE.

2 DR. YAFFE: AND WE ALSO RESTRICT THE USE
3 OF THOSE FUNDS. SO IT'S NOT THAT THE CENTER COULD
4 TAKE THE FUNDS AND USE THEM FOR SOMETHING ELSE.
5 THEY WOULD HAVE TO BE USED FOR COLLABORATIVE
6 PROJECTS.

7 DR. JUELSGAARD: I UNDERSTAND THAT. THE
8 REAL QUESTION IS SHOULD WE INVEST MORE MONEY IN
9 GENOMICS PROJECTS, RIGHT, THAN CURRENTLY. SO I KNOW
10 WE ESTABLISHED AN ALLOCATION. I HATE TO CALL IT A
11 BUDGET BECAUSE I DON'T THINK IT WAS REALLY THAT.
12 BUT WE SAID WE WOULD DEAL WITH UP TO \$40 MILLION
13 WORTH OF THESE. AND SO THAT MONEY, IF WE SPEND IT
14 HERE, WON'T GET SPENT SOMEWHERE ELSE, RIGHT. SO WE
15 HAVE A TRADE-OFF DECISION TO MAKE.

16 AND THE QUESTION I REALLY HAVE IS IS THAT
17 THE BEST TRADE-OFF THAT WE CAN MAKE TO PUT IN THIS
18 ADDITIONAL AMOUNT OF MONEY FOR GENOMICS EFFORTS AND
19 NOT SEE IT GO TO SOME OTHER USE; I.E., CLINICAL
20 TRIALS OR BASIC BIOLOGY OR WHATEVER ELSE MIGHT COME
21 ALONG DOWN THE ROAD?

22 DR. TROUNSON: CLEARLY IT'S A TRADE-OFF.
23 I MEAN HOW DO YOU EVALUATE THAT AGAINST SOME OTHER
24 TRADE-OFF OR SOME OTHER INITIATIVE? THESE HAVE BEEN
25 EVALUATED AS THE TOP-LINE PROJECTS AS BEING VERY

BARRISTERS' REPORTING SERVICE

1 GOOD PROJECTS. ONE HOPES THAT THEY WOULD SUCCEED
2 AND BE INITIATED THERE OR SOMEWHERE ELSE.

3 DR. PRIETO: I THINK, IF I CAN COMMENT,
4 YOU'RE EVALUATING A PROJECT THAT'S BEEN EVALUATED
5 AND GIVEN A CERTAIN RANKING AGAINST A HYPOTHETICAL.
6 SO IT'S ALMOST IMPOSSIBLE.

7 DR. JUELSGAARD: BUT, FRANCISCO, AGAIN,
8 THERE'S NO -- AT THIS POINT THERE'S NO ABSOLUTE
9 ASSURANCE THAT ANY OF THE PROJECTS THAT YOU'RE
10 TALKING ABOUT THAT ARE IN THESE PROPOSALS WILL BE
11 APPROVED FOR THE USE OF THAT MONEY.

12 DR. PRIETO: NO, OF COURSE. I MEAN
13 THERE'S ONLY -- ALL WE'RE DOING IS MAKING MORE MONEY
14 AVAILABLE FOR SOME OF THESE PROJECTS.

15 DR. JUELSGAARD: MY POINT EXACTLY.

16 DR. PRIETO: SOME, BUT NOT ALL. I MEAN
17 THERE'S --

18 DR. JUELSGAARD: FOR SOME PROJECTS.

19 DR. PRIETO: FOR SOME PROJECTS. I MEAN WE
20 CAN'T OR WE HAVE DECIDED THAT WE CANNOT FUND ALL.
21 WE'RE NOT GOING TO GO TO \$160 MILLION.

22 CHAIRMAN THOMAS: RIGHT. NO. AND I WOULD
23 ADD TO THAT, MR. JUELSGAARD, YOU HAVE A VERY VALID
24 POINT, AS ALWAYS. IF IT DOES SO HAPPEN THAT THERE
25 ARE TWO PROJECTS THAT COME IN THAT SOMEHOW MANAGE TO

BARRISTERS' REPORTING SERVICE

1 GET FUNDED UNDER THIS PROCESS THAT AREN'T CURRENTLY
2 HERE, THAT MEANS THAT THEY ARE EVEN BETTER PROJECTS
3 THAN THOSE THAT HAVE BEEN EVALUATED VERY HIGHLY BY
4 THE GRANTS WORKING GROUP. SO THAT WOULD SEEM TO BE
5 A WIN FOR THE OVERALL PROCESS IF THAT WERE THE CASE.

6 THE QUESTION OF SHOULD WE BE PUTTING AN
7 ADDITIONAL SIX PLUS MILLION INTO GENOMICS IS
8 CERTAINLY A VALID QUESTION, BUT THAT IS A
9 PRIORITIZATION QUESTION. I FEEL THAT THIS IS SUCH
10 AN IMPORTANT PROJECT OVERALL, SUCH AN IMPORTANT
11 INITIATIVE, THAT WE SHOULD GO TO OUR 40 MILLION THAT
12 THE BOARD ALLOCATED AS THE MAXIMUM TO GIVE US THE
13 BEST CHANCE OF GETTING THE BEST POSSIBLE PROJECTS
14 FUNDED TO REALLY JUMP-START THE WHOLE THING.

15 MR. PANETTA: THANKS. I WOULD JUST ADD
16 THAT BY DOING THIS, I THINK YOU ALSO EXTEND THE
17 POSSIBILITY OF BRINGING ADDITIONAL MATCHING FUNDING
18 IN THAT COULD GET US BEYOND THE DOLLARS THAT WE HAVE
19 AVAILABLE TO DO EVEN MORE.

20 DR. PRIETO: OTHER BOARD COMMENT? PUBLIC
21 COMMENT? OKAY. WOULD YOU LIKE TO -- ONE COMMENT.
22 DR. SNYDER.

23 DR. SNYDER: I LIKE THE IDEA. I THINK
24 IT'S A GREAT IDEA BECAUSE I THINK WE'RE HEARING
25 REALLY GREAT PROJECTS. YOU KNOW, THERE'S A LOT OF

BARRISTERS' REPORTING SERVICE

1 THE DETAILS TO WORK OUT BECAUSE HOW DO YOU ACTUALLY
2 IMPLEMENT THIS? BUT THE PRINCIPLE IS A VERY GOOD
3 ONE TO ME. SO I CERTAINLY LIKE IT.

4 DR. PRIETO: DR. LORING.

5 DR. LORING: I CAN'T LET HIM TALK AND NOT
6 TALK AFTER HIM. THAT'S NOT THE REASON, J.T.,
7 REALLY. SO I LIKE THE IDEA OF COMBINING THESE
8 THINGS, THE BEST OF EVERYTHING. I'M NOT -- I DON'T
9 HAVE ANY INVESTMENT IN ADMINISTRATING THIS CENTER.
10 THAT'S NOT THE FUN PART.

11 HOWEVER, WITH NO GUARANTEE, THE PROJECTS
12 WE HAVE AS OUR CENTER-INITIATED PROJECTS ARE IN MANY
13 CASES COMPETITIVE WITH ONES THAT YOU'RE ALREADY
14 FUNDING. AND SO THAT MEANS THAT THE PROJECTS AS
15 THEY ARE ARE UNLIKELY TO BE FUNDED UNDER THIS
16 MECHANISM. DOES THAT MAKE SENSE? IN OTHER WORDS,
17 THEY'RE ESSENTIALLY ON THE SAME SUBJECT. SO IT
18 DOESN'T REALLY GIVE US ANY ADVANTAGE, I THINK. IN
19 FACT, IT PUTS US SORT OF AT A DISADVANTAGE
20 BECAUSE -- ART, YOU LOOK CONFUSED. I'M SORRY.

21 MR. TORRES: I'M NOT BECAUSE I DON'T THINK
22 IT PUTS YOU AT A DISADVANTAGE.

23 DR. LORING: WELL, IF THEY HAVE A SINGLE
24 CELL GENOMICS PROJECT, I HAVE A SINGLE CELL GENOMICS
25 PROJECT, ARE THEY GOING TO BE CHOOSING TO FUND MY

BARRISTERS' REPORTING SERVICE

1 SINGLE CELL GENOMICS PROJECT?

2 MR. TORRES: THAT'S UP TO A COLLABORATIVE
3 EFFORT WITH STAFF.

4 DR. LORING: ONE WOULD HOPE, YES. ONE
5 WOULD HOPE. BUT I THINK THE REALITY IS THAT'S
6 PRETTY UNLIKELY.

7 DR. PRIETO: WELL, I THINK THE WAY THIS IS
8 ENVISIONED, IT WOULD GO TO THIS COMMITTEE INCLUDING
9 CIRM REPRESENTATION AND THEN --

10 DR. LORING: I UNDERSTAND. IF THERE IS
11 SOME DIRECTION THAT REALLY TRIES TO BE INCLUSIVE,
12 THEN, OF COURSE, THAT'S FINE. I THINK THAT WOULD BE
13 GREAT.

14 DR. PRIETO: I THINK IT'S CLEAR THAT'S
15 WHAT WE WANT.

16 ANY OTHER COMMENTS? IF NOT, MR. HARRISON,
17 WOULD YOU LIKE TO RESTATE AND WE'LL CALL THE ROLL.

18 MR. HARRISON: AS I UNDERSTAND THE MOTION,
19 IT'S TO APPROVE FUNDING FOR GENOMICS CENTER
20 APPLICATION 6673, TO ADD \$6,672,928 TO THE
21 COLLABORATIVE FUNDING COMPONENT OF THE AWARD. AND
22 OF THE 19 MILLION BUDGETED FOR COLLABORATIVE
23 FUNDING, ALLOCATE 10 MILLION WITH A PRIORITY FOR
24 FUNDING CENTER-INITIATED PROJECTS PROPOSED BY OTHER
25 APPLICANTS THAT WERE RECOMMENDED FOR FUNDING BY THE

BARRISTERS' REPORTING SERVICE

1 GRANTS WORKING GROUP.
2 DR. PRIETO: OKAY.
3 MS. BONNEVILLE: STEVE JUELSGAARD.
4 DR. JUELSGAARD: NO.
5 MS. BONNEVILLE: LAUREN MILLER.
6 MS. MILLER: YES.
7 MS. BONNEVILLE: JOE PANETTA.
8 MR. PANETTA: AYE.
9 MS. BONNEVILLE: FRANCISCO PRIETO.
10 DR. PRIETO: AYE.
11 MS. BONNEVILLE: ROBERT QUINT.
12 DR. QUINT: YES.
13 MS. BONNEVILLE: JONATHAN THOMAS.
14 CHAIRMAN THOMAS: YES.
15 MS. BONNEVILLE: ART TORRES.
16 MR. TORRES: AYE.
17 MR. HARRISON: MOTION CARRIES BY A VOTE OF
18 SIX TO ONE.
19 DR. PRIETO: THANK YOU, EVERYONE.
20 CHAIRMAN THOMAS: OKAY. THANK YOU,
21 EVERYBODY, FOR BEARING WITH US THROUGH THAT
22 DISCUSSION.
23 DR. YAFFE: I WANTED TO NOTE THAT WE HAVE
24 IN THE ROOM NOT ONLY SOME OF THE TOP GENOMICS
25 EXPERTS IN CALIFORNIA, BUT SOME OF THE TOP GENOMICS

BARRISTERS' REPORTING SERVICE

1 EXPERTS IN THE WORLD HERE. THEY WERE DRAWN INTO
2 THIS ROOM BECAUSE OF YOUR EFFORTS. AND IF ONE OF
3 THE GOALS OF THIS PROGRAM IS TO BRING CUTTING-EDGE
4 GENOMICS TO STEM CELL BIOLOGY, WE'RE ALREADY
5 SUCCEEDING BY INVOLVING THESE INDIVIDUALS. SO I
6 WANT TO THANK YOU FOR YOUR CONSIDERATION OF THIS AND
7 FOR YOUR APPROVAL.

8 CHAIRMAN THOMAS: THANK YOU, DR. YAFFE,
9 FOR YOUR LEADERSHIP IN THIS DISCUSSION. DR.
10 SAMBRANO AS WELL. ANOTHER VERY COMPLEX PRESENTATION
11 VERY WELL HANDLED.

12 MS. WINOKUR.

13 MS. WINOKUR: I WANT TO SAY THAT I HAVE
14 BEEN OVERWHELMED BY THE REALIZATION ON THIS PIECE OF
15 PAPER OF THE QUALITY AND AMOUNT OF SCIENTIFIC
16 BRILLIANCE THAT IS HERE IN CALIFORNIA AND THAT I
17 TAKE PRIDE THAT CIRM WAS RESPONSIBLE FOR BRINGING A
18 LOT OF IT HERE.

19 CHAIRMAN THOMAS: COULD NOT HAVE BEEN
20 BETTER STATED.

21 (APPLAUSE.)

22 CHAIRMAN THOMAS: THANK YOU VERY MUCH.
23 OKAY. JUST A COUPLE OF ODDS AND ENDS
24 LEFT.

25 DR. PRIETO: I JUST WANT TO MAKE ONE MORE

BARRISTERS' REPORTING SERVICE

1 COMMENT AND GIVE OUR APPRECIATION TO DR. TROUNSON
2 FOR BRINGING THIS IDEA FORWARD AND HELPING TO MAKE
3 THIS A REALITY FOR CALIFORNIA.

4 MR. HARRISON: DR. PRIETO, JUST ONE
5 HOUSEKEEPING MATTER. WE NEED TO TAKE A MOTION NOT
6 TO FUND THE REMAINING APPLICATIONS SUBMITTED BY
7 GENOMIC CENTER APPLICANTS.

8 DR. PRIETO: THANK YOU. YES. IF I COULD
9 HAVE A MOTION NOT TO FUND THE REMAINING APPLICATIONS
10 FOR GENOMIC CENTER.

11 CHAIRMAN THOMAS: SO MOVED.

12 DR. PRIETO: SECOND?

13 MR. TORRES: SECOND.

14 DR. PRIETO: IF WE COULD CALL THE ROLL.

15 MS. BONNEVILLE: STEVE JUELSGAARD.

16 DR. JUELSGAARD: AYE.

17 MS. BONNEVILLE: LAUREN MILLER.

18 MS. MILLER: AYE.

19 MS. BONNEVILLE: JOE PANETTA.

20 MR. PANETTA: AYE.

21 MS. BONNEVILLE: FRANCISCO PRIETO.

22 DR. PRIETO: AYE.

23 MS. BONNEVILLE: ROBERT QUINT.

24 DR. QUINT: YES.

25 MS. BONNEVILLE: JON THOMAS.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: YES.

2 MS. BONNEVILLE: ART TORRES.

3 MR. TORRES: AYE.

4 DR. PRIETO: THANK YOU ALL.

5 DR. TROUNSON: SO, FRANCISCO, JUST I THINK
6 IT IS A BIG MOMENT FOR US AND FOR ME, BUT ALSO FOR
7 MY COLLEAGUES WHO REALLY, REALLY PUT IN A HUGE
8 EFFORT, PARTICULARLY NATALIE DEWITT AND MICHAEL
9 YAFFE, WHO REALLY SHOULDERED A LOT OF THE
10 RESPONSIBILITY IN MOVING THIS FORWARD TO THIS END.
11 AND I THINK THE OUTCOME IS THAT CALIFORNIA IS GOING
12 TO BE INCREDIBLY RICH FROM THE GRANTING OF THIS. SO
13 I WANT TO THANK THE WHOLE BOARD FOR ACTUALLY TAKING
14 US ON THIS TRIP AND BELIEVING IN US AND THOSE
15 SCIENTISTS. AND I THINK THE REWARDS WILL BE THE
16 FANTASTIC WORK THAT WILL HAPPEN FROM THIS GROUP OF
17 SCIENTISTS. SO I WANT TO THANK ALL THE BOARD
18 MEMBERS AND ALL THE STAFF THAT HAVE BEEN INVOLVED IN
19 THIS, PARTICULARLY NATALIE AND MICHAEL. THANK YOU
20 ALL VERY MUCH.

21 (APPLAUSE.)

22 CHAIRMAN THOMAS: OKAY. SO UNDERSTAND THE
23 ONLY THING WE HAVE LEFT IS THE MINUTES, WHICH --

24 MS. BONNEVILLE: VERY IMPORTANT.

25 CHAIRMAN THOMAS: YES. VERY IMPORTANT.

BARRISTERS' REPORTING SERVICE

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MR. TORRES: MOVE TO APPROVE.

DR. PRIETO: SECOND.

CHAIRMAN THOMAS: ARE WE ALLOWED TO VOTE
ON THAT, MR. HARRISON? NO. OKAY.

MR. TORRES: NO CONFLICTS ON THE MINUTES.

CHAIRMAN THOMAS: WE JUST LOST OUR QUORUM,
SO WE'LL HAVE TO -- WE HAVE TO CARRY OVER ACTION ON
ITEM 15 TO OUR NEXT MEETING.

IS THERE ANY OTHER PUBLIC COMMENT ON
ANYTHING OUT IN THE AUDIENCE? IF NOT, THAT
CONCLUDES TODAY'S AGENDA. THANK YOU, EVERYBODY, FOR
YOUR HARD WORK. WE LOOK FORWARD TO SEEING YOU NEXT
IN MARCH.

(THE MEETING WAS THEN CONCLUDED.)

BARRISTERS' REPORTING SERVICE

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

CLAREMONT HOTEL
41 TUNNEL ROAD
BERKELEY, CALIFORNIA
ON
JANUARY 29, 2014

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152
BARRISTERS' REPORTING SERVICE
160 S. OLD SPRINGS ROAD
SUITE 270
ANAHEIM, CALIFORNIA
(714) 444-4100