

BETH C. DRAIN, CA CSR NO. 7152

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
AND THE APPLICATION REVIEW SUBCOMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: CALIFORNIA INSTITUTE FOR
REGENERATIVE MEDICINE
1999 HARRISON STREET, SUITE 1650
OAKLAND, CALIFORNIA

DATE: OCTOBER 18, 2018
9 A.M.

REPORTER: BETH C. DRAIN, CSR
CA CSR. NO. 7152

FILE NO.: 2018-14

BETH C. DRAIN, CA CSR NO. 7152

I N D E X

ITEM DESCRIPTION	PAGE NO.
OPEN SESSION	
1. CALL TO ORDER.	4
2. ROLL CALL.	4
3. CHAIRMAN'S REPORT.	6
4. PRESIDENT'S REPORT.	28
DISCUSSION AND ACTION ITEMS	
5. CONSIDERATION OF APPLICATIONS SUBMITTED IN RESPONSE TO CLINICAL TRIAL STAGE PROJECTS (CLIN1,2 OR 3).	113
6. CONSIDERATION OF APPLICATIONS SUBMITTED IN TRANSLATION STAGE PROJECTS.	120
7. CLOSED'S SESSION. DISCUSSION OF CONFIDENTIAL INTELLECTUAL PROPERTY OR WORK PRODUCT, PREPUBLICATION DATA, FINANCIAL INFORMATION, CONFIDENTIAL SCIENTIFIC RESEARCH OR DATA, AND OTHER PROPRIETARY INFORMATION RELATING TO APPLICATIONS SUBMITTED IN RESPONSE TO AGENDA ITEMS "5" & "6". (HEALTH & SAFETY CODE 125290.30(F) (3) (B) AND (C)).	NONE
DISCUSSION AND ACTION ITEMS	
8. DISCUSSION AND POSSIBLE CONSIDERATION OF THE 2019 SCIENTIFIC RESEARCH BUDGET.	33
9. UPDATE ON THE CO-FUNDING PROGRAM BETWEEN THE NATIONAL HEART, LUNG, AND BLOOD INSTITUTE CURE SICKLE CELL INITIATIVE AND CIRM.	46

BETH C. DRAIN, CA CSR NO. 7152

I N D E X (CONT'D.)

10. CONSIDERATION OF AMENDMENTS TO THE CONCEPT PLAN FOR TRANSLATION RESEARCH PROGRAMS.	67
11. CONSIDERATION OF AMENDMENTS TO THE CONCEPT PLAN FOR CLINICAL TRIAL STAGE PROGRAMS.	
12. CONSIDERATION OF APPOINTMENT OF CO-CHAIRS TO THE EVALUATION SUBCOMMITTEE.	99
13. APPOINTMENT OF SCIENTIFIC MEMBERS TO THE GRANTS WORKING GROUP.	102
14. CLINICAL PROGRAM UPDATES.	133
15. PROPOSED ADDITIONAL AGENDA ITEM.	104
16. PUBLIC COMMENT.	NONE
17. ADJOURNMENT.	157

BETH C. DRAIN, CA CSR NO. 7152

OAKLAND, CALIFORNIA; OCTOBER 18, 2018

9 A.M.

CHAIRMAN THOMAS: OKAY. GOOD MORNING,
EVERYBODY. WE HAVE HAD A BIT OF AN ISSUE UP HERE
WITH TRAFFIC. SO IT'S TAKEN A LITTLE BIT LONGER FOR
SOME OF THE MEMBERS TO GET TO THE BOARD MEETING
HERE, BUT WE'RE ALL SET TO GO. MR. JUELSGAARD IS IN
THE ROOM FOR THOSE OF YOU WONDERING WHAT THE
APPLAUSE WAS.

SO I'D LIKE TO CALL THE REGULAR MEETING OF
ICOC AND APPLICATION REVIEW SUBCOMMITTEE TO ORDER
FOR OCTOBER 2018. MARIA, WILL YOU PLEASE LEAD US IN
THE PLEDGE OF ALLEGIANCE.

(PLEDGE OF ALLEGIANCE.)

CHAIRMAN THOMAS: MARIA, PLEASE CALL THE
ROLL.

MS. BONNEVILLE: GEORGE BLUMENTHAL.

DR. BLUMENTHAL: HERE.

MS. BONNEVILLE: LINDA BOXER.

DR. BOXER: HERE.

MS. BONNEVILLE: KEN BURTIS.

DR. BURTIS: PRESENT.

MS. BONNEVILLE: DEBORAH DEAS. DAVID
BRENNER. ANNE-MARIE DULIEGE.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. DULIEGE: HERE.
2 MS. BONNEVILLE: JUDY GASSON.
3 DR. GASSON: HERE.
4 MS. BONNEVILLE: DAVID HIGGINS.
5 DR. HIGGINS: HERE.
6 MS. BONNEVILLE: STEPHEN JUELSGAARD.
7 MR. JUELSGAARD: HERE.
8 MS. BONNEVILLE: SHERRY LANSING.
9 MS. LANSING: HERE.
10 MS. BONNEVILLE: LINDA MALKAS.
11 DR. MALKAS: HERE.
12 MS. BONNEVILLE: BERT LUBIN.
13 DR. LUBIN: HERE.
14 MS. BONNEVILLE: DAVE MARTIN.
15 DR. MARTIN: HERE.
16 MS. BONNEVILLE: SHLOMO MELMED.
17 DR. MELMED: HERE.
18 MS. BONNEVILLE: LAUREN MILLER.
19 MS. MILLER: HERE.
20 MS. BONNEVILLE: ADRIANA PADILLA.
21 DR. PADILLA: HERE.
22 MS. BONNEVILLE: JOE PANETTA. FRANCISCO
23 PRIETO. ROBERT QUINT. AL ROWLETT. SUZANNE
24 SANDMEYER.
25 DR. SANDMEYER: HERE.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. BONNEVILLE: JEFF SHEEHY.
2 MR. SHEEHY: HERE.
3 MS. BONNEVILLE: OSWALD STEWARD. JONATHAN
4 THOMAS.
5 CHAIRMAN THOMAS: HERE.
6 MS. BONNEVILLE: ART TORRES.
7 MR. TORRES: HERE.
8 MS. BONNEVILLE: KRISTINA VUORI.
9 DR. VUORI: HERE.
10 MS. BONNEVILLE: DIANE WINOKUR.
11 MS. WINOKUR: HERE.
12 MS. BONNEVILLE: AL ROWLETT.
13 MR. ROWLETT: CAN YOU HEAR ME?
14 MS. BONNEVILLE: YES. THANK YOU, AL.
15 WE HAVE A QUORUM.
16 CHAIRMAN THOMAS: THANK YOU, MARIA. WE'LL
17 GO NEXT TO THE CHAIR'S REPORT. I WANT TO START WITH
18 GETTING YOU ALL AN UPDATE ON THE FUNDRAISING EFFORT.
19 BEFORE I DO THAT, I WANTED TO SORT OF SET THE STAGE
20 FOR IT WITH A FEW COMMENTS THAT WE, AS WE'RE HEADING
21 INTO 2019 SHORTLY, ARE AT AN INFLECTION POINT, AS WE
22 KNOW, AS FAR AS THE FUNDING GOES, BUT WE ALSO SHOULD
23 BE EXTREMELY PROUD OF WHERE THINGS STAND AT THE
24 MOMENT IN TERMS OF WHAT CIRM HAS BEEN ABLE TO
25 ACCOMPLISH TO THIS POINT.

BETH C. DRAIN, CA CSR NO. 7152

1 AND I WAS GOING TO GIVE YOU A FEW STATS,
2 BUT I THOUGHT, IF YOU WOULD INDULGE ME, DR. MILLAN
3 AND I DID AN OP-ED RECENTLY WHICH TALKS ABOUT THE
4 GREAT ACCOMPLISHMENTS OF CIRM. AND I WOULD JUST
5 LIKE, IT'S NOT VERY LONG, BUT I THINK YOU WOULD
6 ENJOY HEARING IT, SO I WANT TO READ IT TO YOU. SO
7 IT GOES AS FOLLOWS:

8 "BIOTECHNOLOGY WAS BORN IN CALIFORNIA IN
9 THE 1970S BASED ON THE DISCOVERY OUT OF ONE OF ITS
10 UNIVERSITIES. CALIFORNIA IS RESPONSIBLE FOR AN
11 INDUSTRY THAT HAS IMPACTED THE LIVES OF MILLIONS OF
12 PEOPLE WORLDWIDE. IN 2004 THE VOTERS OF CALIFORNIA
13 APPROVED PROPOSITION 71, CREATING THE CALIFORNIA
14 INSTITUTE FOR REGENERATIVE MEDICINE AND SETTING THE
15 STATE ON A PATH TO BECOMING A GLOBAL LEADER IN STEM
16 CELL RESEARCH.

17 "TO DATE THE THERAPIES RESULTING FROM THE
18 INSTITUTE'S WORK ARE NOT JUST CHANGING LIVES;
19 THEY'RE ALREADY SAVING LIVES, LIVES LIKE EVIE
20 VACCARO WHO IS ALIVE TODAY BECAUSE OF A TREATMENT
21 CIRM IS FUNDING. VACCARO WAS BORN WITH SKID, ALSO
22 KNOWN AS BUBBLE BABY DISEASE, AN IMMUNE DISORDER
23 THAT OFTEN KILLS BABIES IN THEIR FIRST TWO YEARS.
24 VACCARO NOW SIX AND DOZENS OF OTHER BABIES WERE
25 GIVEN STEM CELL TREATMENTS THANKS TO THE INSTITUTE.

BETH C. DRAIN, CA CSR NO. 7152

1 ALL ARE SHOWING IMPROVEMENT. SOME ARE NOW SEVERAL
2 YEARS PAST TREATMENT AND CONSIDERED CURED.

3 "AN ACCIDENT LEFT JAKE JAVIER FROM
4 DANVILLE PARALYZED FROM THE CHEST DOWN ON THE EVE OF
5 HIS HIGH SCHOOL GRADUATION. JAVIER WAS TREATED IN A
6 CIRM-FUNDED CLINICAL TRIAL. TODAY IS HAS REGAINED
7 THE USE OF HIS ARMS AND HANDS, IS DRIVING A CAR, AND
8 IS A SOPHOMORE AT CALPOLY SAN LUIS OBISPO. FIVE
9 OTHER PATIENTS TREATED AT THE SAME TIME AS JAVIER
10 ALL HAVE EXPERIENCED IMPROVEMENTS, MEANING THAT,
11 INSTEAD OF NEEDING ROUND-THE-CLOCK CARE, THEY CAN
12 LEAD INDEPENDENT LIVES.

13 "A STUDY BY THE TUFTS CENTER FOR THE STUDY
14 OF DRUG DEVELOPMENT ESTIMATED THAT IT TAKES AT LEAST
15 TEN YEARS AND \$2.6 BILLION TO DEVELOP ONE SUCCESSFUL
16 DRUG. IN 14 YEARS AND WITH JUST THREE BILLION, CIRM
17 HAS FUNDED A THOUSAND DIFFERENT PROJECTS, ENROLLED
18 900 PATIENTS, AND SUPPORTED 49 DIFFERENT CLINICAL
19 TRIALS TARGETING DISEASES SUCH AS CANCER, KIDNEY
20 FAILURE, LEUKEMIA. FOUR OF THESE PROGRAMS HAVE
21 RECEIVED AN EXPEDITED DESIGNATION BY THE U.S. FOOD
22 AND DRUG ADMINISTRATION, MEANING THEY COULD GET
23 FASTER APPROVAL TO HELP MORE PATIENTS.

24 "WE HAVE CREATED A NETWORK OF WORLD-CLASS
25 MEDICAL CLINICS THAT HAVE EXPERTISE IN DELIVERING

BETH C. DRAIN, CA CSR NO. 7152

1 TREATMENTS TO PATIENTS. THE CIRM ALPHA CLINICS
2 OFFER TREATMENTS BASED ON SOLID SCIENCE, UNLIKE THE
3 UNLICENSED CLINICS SPROUTING UP AROUND CALIFORNIA
4 THAT PEDDLE UNPROVEN AND POTENTIALLY HARMFUL
5 THERAPIES THAT COST PATIENTS THOUSANDS OF DOLLARS.

6 "CIRM HAS SUPPORTED THE CREATION OF 9 A.M.
7 STEM CELL RESEARCH FACILITIES IN CALIFORNIA,
8 ATTRACTED HUNDREDS OF TOP-TIER RESEARCHERS TO
9 CALIFORNIA, TRAINED A NEW GENERATION OF STEM CELL
10 SCIENTISTS, BROUGHT CLINICAL TRIALS TO CALIFORNIA;
11 FOR EXAMPLE, ONE TARGETING ALS OR LOU GEHRIG'S
12 DISEASE, DEPLOYED RIGOROUS SCIENTIFIC STANDARDS AND
13 SUPPORT SO OUR PROGRAMS HAVE A SEAL OF APPROVAL TO
14 ATTRACT 2.7 BILLION IN ADDITIONAL INVESTMENTS FROM
15 INDUSTRY AND OTHER SOURCES.

16 "WE RECENTLY HAVE PARTNERED WITH THE
17 NATIONAL INSTITUTES OF HEALTH" -- BE HEARING MORE
18 ABOUT THAT LATER -- "TO BREAK DOWN BARRIERS AND
19 SPEED UP THE APPROVAL PROCESS TO BRING CURATIVE
20 TREATMENTS TO PATIENTS WITH SICKLE CELL DISEASE.

21 "HAVE WE ACHIEVED ALL WE WANTED TO? OF
22 COURSE NOT. THE FIRST DECADE OF CIRM'S LIFE WAS
23 LAYING THE GROUNDWORK, DEVELOPING THE KNOWLEDGE AND
24 EXPERTISE, AND REFINING PROCESSES SO THAT WE CAN
25 TRULY ACCELERATE PROGRESS. AS A LEADER IN THIS

BETH C. DRAIN, CA CSR NO. 7152

1 BURGEONING FIELD OF REGENERATIVE MEDICINE, CIRM
2 NEEDS TO CONTINUE ITS MISSION OF ACCELERATING STEM
3 CELL TREATMENTS TO PATIENTS WITH UNMET MEDICAL
4 NEEDS."

5 SO THERE, LADIES AND GENTLEMEN, IS A
6 CONCISE SUMMARY OF WHERE WE STAND TODAY. AND I
7 THINK IT'S SOMETHING THAT WE CAN JUSTIFIABLY ALL BE
8 EXTREMELY PROUD OF. SO I JUST WANTED TO CONVEY THAT
9 TO ALL OF YOU.

10 OKAY. SO WITH RESPECT TO THE BRIDGE
11 FUNDING EFFORT, AS YOU KNOW, OUR GOAL IS BY 2020 TO
12 PROCURE \$220 MILLION TO ALLOW CIRM TO CONTINUE ITS
13 PROGRAMS IN A TYPICAL MANNER BETWEEN THE TIME WE
14 ANTICIPATE RUNNING OUT OF MONEY IN LATE 2019 AND THE
15 ELECTION OF NOVEMBER 2020 IN WHICH WE EXPECT THAT
16 THERE WILL BE A CITIZEN-LED INITIATIVE FOR \$5
17 BILLION TO REUP CIRM, AS IT WERE, FOR THE NEXT
18 GENERATION.

19 SO JUST TO GIVE YOU A FEEL FOR KIND OF THE
20 PROCESS WE'VE BEEN GOING THROUGH, WE HAVE AN
21 IN-HOUSE TEAM THAT MEETS WEEKLY TO DISCUSS PROSPECTS
22 AND STRATEGY. IN THE COURSE OF THAT, WE REFINED THE
23 LOAN PROGRAM THAT WE DISCUSSED AT OUR LAST BOARD
24 MEETING AS A SECOND OPTION TO DONATIONS FOR BRIDGE
25 FUNDING, HAVING CONFIRMED IN THE PROCESS OF THAT

BETH C. DRAIN, CA CSR NO. 7152

1 THAT THE IDEA WAS SOUND WITH STATE BOND COUNSEL, THE
2 STATE TREASURER'S OFFICE, AND WITH BOB KLEIN, WHO
3 WILL BE THE AUTHOR OF THE, AS HE WAS WITH THE
4 ORIGINAL PROP 71, WILL BE THE AUTHOR OF THE NEXT
5 PROPOSITION, TO GET HIS AGREEMENT TO PUT IN LANGUAGE
6 THAT WOULD ALLOW FOR BONDS TO BE ISSUED TO REPAY
7 LOANS THAT WE WOULD GET TO ALLOW US TO CONTINUE WITH
8 OUR WORK DURING THIS BRIDGE FUNDING PERIOD.

9 WE HAVE ANALYZED DOZENS OF POTENTIAL
10 DONORS AND LENDERS BOTH IN TERMS OF THE POTENTIAL
11 ASK THAT WE WILL MAKE AND PARTICULAR SUBJECT MATTERS
12 OF INTEREST TO THOSE SPECIFIC INDIVIDUALS AND HAVE
13 DEVELOPED TAILORED ASKS FOR EACH OF THOSE DONORS OR
14 LENDERS.

15 WE HAVE, WITH TAILORED ASKS IN HAND,
16 EITHER APPROACHED OR WILL APPROACH THESE POTENTIAL
17 DONORS AND LENDERS AMONG OTHER WAYS AS FOLLOWS: NO.
18 1 IS EITHER INDIVIDUALLY AND DIRECTLY THROUGH
19 RELATIONSHIPS THAT WE HAVE WITH THE POTENTIAL DONOR,
20 LENDERS IN QUESTION, INDIVIDUALLY THROUGH SUPPORTIVE
21 KEY INTERMEDIARIES WHO ARE CLOSE FRIENDS OR
22 ASSOCIATES OF POTENTIAL FUNDRAISING TARGETS. WE
23 HAVE ALSO ADOPTED A GROUP STRATEGY OF MEETING WITH
24 DINNERS WHERE A NUMBER OF HIGH NET WORTH INDIVIDUALS
25 OR HEADS OF THEIR FAMILY OFFICES COME TOGETHER TO

BETH C. DRAIN, CA CSR NO. 7152

1 HEAR PRESENTATIONS ON CIRM AND ABOUT THE BRIDGE
2 FUNDING EFFORT.

3 THE PITCHES THAT WE'VE TAILORED, THE
4 SUBJECT MATTER HAS INCLUDED SUCH THINGS AS ADMIN
5 COSTS, UNRESTRICTED FUNDS THAT WOULD COME TO US TO
6 DO WHAT WE WISH TO DO WITH THEM AT OUR DISCRETION,
7 PROJECTS FOR CATEGORIES OF INDICATIONS. SO GO OUT
8 AND SOMEBODY IS INTERESTED IN, SAY, CANCER,
9 DIABETES, WHATEVER, THE PITCH HAS BEEN TAILORED TO
10 THAT PARTICULAR SUBJECT MATTER. AND EVEN MORE
11 SPECIFICALLY, SPECIFIC DISEASES WITHIN CATEGORIES.
12 SO ONE CATEGORY COULD BE GENE THERAPIES, BUT
13 SPECIFIC INDICATION. AND THAT EXAMPLE WOULD BE THE
14 SICKLE CELL INITIATIVE THAT DR. MILLAN AND GABE ARE
15 GOING TO DISCUSS LATER ON.

16 ANOTHER CATEGORY IS INFRASTRUCTURE. WE
17 ARE CONTEMPLATING POTENTIALLY ADDING SOME NEW ALPHA
18 CLINICS AS WE HAVE HAD DISCUSSIONS ON THAT. WE HAVE
19 ALSO HAD DISCUSSIONS ON A PROGRAM THAT WE SPENT A
20 LOT OF TIME BATTING AROUND POTENTIAL IN-HOUSE THAT
21 WE MIGHT WANT TO DISCUSS AT THE BOARD AT SOME POINT
22 HAVING TO DO WITH THE SYMBIOTIC RELATIONSHIP BETWEEN
23 BASIC RESEARCH AND BIG DATA, WHICH THERE OBVIOUSLY
24 IS A TREMENDOUS OVERLAP AND A NEED TO FUND PROGRAMS
25 THAT ADDRESS THE INTERSECTION OF THOSE TWO.

BETH C. DRAIN, CA CSR NO. 7152

1 WE'VE ALSO TALKED TO A NUMBER OF
2 OUT-OF-STATE, HIGH NET WORTH INDIVIDUALS, AND THE
3 SORT OF PITCH TO THEM IS THEY ARE INTERESTED IN
4 FUNDING REGENERATIVE MEDICINE IN THEIR PARTICULAR
5 AREA OF THE COUNTRY. WE HAVE RECOMMENDED THIS AS
6 SORT OF A SUBJECT MATTER AND GEOGRAPHIC DIVERSITY
7 PLAY FOR THEM. SO THESE DISCUSSIONS ARE ALL IN
8 PROGRESS OR WILL BE IN PROGRESS. AND I JUST WANTED
9 TO LET EVERYBODY KNOW SORT OF WHAT WE ARE THINKING
10 AND HOW WE'VE BEEN SYSTEMATICALLY APPROACHING THIS.

11 SO IS THERE ANY QUESTIONS ON THAT?

12 DR. LUBIN: JUST A QUICK QUESTION. IT ALL
13 SOUNDS GREAT. IS THERE SOMEBODY HEADING THE
14 CAMPAIGN? ARE YOU HEADING THE CAMPAIGN?

15 CHAIRMAN THOMAS: I'M HEADING THE
16 CAMPAIGN. YOU'RE LOOKING AT HIM.

17 MR. TORRES: BETTER BE CLEAR ABOUT WHAT
18 KIND OF CAMPAIGN YOU'RE TALKING ABOUT.

19 CHAIRMAN THOMAS: YES, THANK YOU. I GOT
20 THAT FROM ALL SIDES. THIS IS THE BRIDGE FUNDING
21 FUNDRAISING EFFORT. WE'LL STRIKE THE WORD
22 "CAMPAIGN" BECAUSE, AS YOU KNOW, AS A STATE AGENCY,
23 WE CAN'T BE INVOLVED IN CAMPAIGNS. THANK YOU FROM
24 ALL SIDES. OTHER COMMENTS?

25 DR. MARTIN: FOR A LOAN, IS IT FEASIBLE TO

BETH C. DRAIN, CA CSR NO. 7152

1 NAME A FUNCTION FROM THE LENDER? I KNOW IT'S NOT
2 FOR THE BOND, BUT WHAT ABOUT THE LOAN? COULD THAT
3 BE DIFFERENT?

4 CHAIRMAN THOMAS: YES. THE QUESTION IS
5 ABOUT NAMING RIGHTS. ABSOLUTELY. THAT'S SOMETHING
6 WE HAVE FACTORED INTO A NUMBER OF THESE DISCUSSIONS.
7 THAT IS, SOME PEOPLE FIND THAT APPEALING; OTHERS
8 DON'T CARE, BUT FOR SOME WE WANT TO MAKE SURE THAT
9 WE MAKE THAT AVAILABLE.

10 OTHER QUESTIONS? OKAY. THANK YOU.

11 ON TO THE NEXT PART OF CHAIRMAN'S REPORT.
12 I'VE ASKED -- WE'VE HAD A COUPLE OF VERY INTERESTING
13 MEETINGS INVOLVING EITHER A NUMBER OF MEMBERS OF
14 LEGISLATURE OR INDIVIDUAL MEMBERS, AND I'VE ASKED
15 SENATOR TORRES IF HE'D BE SO KIND AS TO BRIEF YOU
16 ALL ON THOSE. SENATOR TORRES.

17 MR. TORRES: THANK YOU. YES, WE HAD A
18 VERY PRODUCTIVE HEARING BEFORE THE ASSEMBLY BIOTECH
19 COMMITTEE HEADED BY KEVIN MULLINS WHO REPRESENTS THE
20 SOUTH BAY AREA OF CALIFORNIA, AS WELL AS OTHER
21 MEMBERS OF THE LEGISLATURE, MANY OF WHOM I THINK
22 WERE VERY IMPRESSED WITH THE TESTIMONY OF SOME OF
23 OUR PATIENTS AS WELL AS OUR PATIENT ADVOCATE
24 EXTRAORDINAIRE THERE TO LEND HIS VOICE TO OUR
25 EFFORTS. AND DR. MILLAN ALSO PRESENTED AN EXTENSIVE

BETH C. DRAIN, CA CSR NO. 7152

1 TESTIMONY AS DID DR. JAN NOLTA, WHO FOLLOWED DR.
2 MILLAN.

3 AND THERE WERE INTERESTING QUESTIONS AND
4 ANSWERS, AND, AGAIN, VERY SUPPORTIVE IN BIPARTISAN
5 WAYS MANY OF THE LEGISLATORS, IN FACT, THE
6 REPUBLICAN MEMBERS, AND I SPOKE AT LENGTH IN TERMS
7 OF WHAT WE NEED TO DO TO FOSTER THIS. ONE OF THE
8 REPUBLICAN MEMBER'S BROTHER IS AN AIDS PHYSICIAN IN
9 NEW YORK. SO THERE WAS REAL INTEREST ON HER PART TO
10 ADVANCE OUR EFFORT. SO ALL IN ALL IT WAS A VERY
11 POSITIVE MEETING.

12 AND THEN WE HAD SENATOR BEN ALLEN, WHO
13 REPRESENTS THE BEVERLY HILLS AREA, AND A LITTLE
14 PLACE CALLED CEDARS-SINAI IN LOS ANGELES, CAME TO
15 VISIT US AS WELL. AND HE'S VERY INTERESTED IN OUR
16 WORK, AS YOU MIGHT IMAGINE, AND ALSO IS COMMITTED TO
17 WORKING WITH US ON A NUMBER OF ISSUES.

18 IN RESPECT TO THE STEM CELL CLINICS, AN
19 ISSUE WHICH I'VE BEEN VERY MUCH INVOLVED WITH,
20 PRELIMINARILY ASSEMBLYMEMBER MULLINS HAS AGREED TO
21 OFFER LEGISLATION TO DEAL WITH A CERTIFICATION
22 PROGRAM FOR STEM CELL CLINICS, WHICH I THOUGHT WAS
23 THE MOST APPROPRIATE APPROACH. AND DR. MILLAN AND I
24 HAVE BEEN WORKING ON THAT TO DEVELOP WITH OUR
25 WORKING GROUP, OUR STANDARDS WORKING GROUP, TO

BETH C. DRAIN, CA CSR NO. 7152

1 FIGURE OUT JUST WHAT OUGHT TO BE THE PARAMETERS
2 WHICH OUGHT TO BE THE AGENCY.

3 AND I'M MEETING AT MY NEXT OTHER HAT,
4 COVER CALIFORNIA, BOARD MEETING WITH OUR SECRETARY
5 OF HEALTH AND WELFARE TO FIGURE OUT JUST WHAT AGENCY
6 HE FEELS MIGHT BE THE APPROPRIATE AGENCY TO CERTIFY
7 THESE STEM CELL CLINICS. AND IT INVOLVES A NUMBER
8 OF ISSUES WHICH WE REALLY CAN'T BE INVOLVED WITH IN
9 TERMS OF LICENSING, BUT WE CERTAINLY CAN BE INVOLVED
10 WITH THE PARAMETERS AND THE DISTINCTIONS THAT WE
11 OUGHT TO RAISE AS TO WHAT CONSTITUTES AN APPROPRIATE
12 STEM CELL CLINIC IN CALIFORNIA. AND WE WILL BE
13 DOING THAT AND I'LL REPORT BACK TO YOU AS SOON AS
14 WE'RE READY WITH THIS LEGISLATION WHICH WE HOPE TO
15 INTRODUCE BY THE END OF JANUARY IN 2019 AND GET IT
16 TO THE NEW GOVERNOR'S DESK IN TIME FOR IT TO BE
17 SIGNED.

18 CHAIRMAN THOMAS: THANK YOU VERY MUCH,
19 SENATOR TORRES. ANY QUESTIONS OR COMMENTS ON THE
20 SENATOR'S REPORT?

21 MR. SHEEHY: I HAVE A QUESTION, NOT SO
22 DIRECT TO THE SENATOR, BUT MORE ACTUALLY ABOUT THE
23 OTHER CAMPAIGN. AND WHAT DEGREE OF CERTAINTY DO WE
24 HAVE THAT THAT'S ACTUALLY GOING TO HAPPEN? WHAT IS
25 THE PLANNED INTERFACE BETWEEN THIS BOARD AND THIS

BETH C. DRAIN, CA CSR NO. 7152

1 AGENCY AND THE CAMPAIGN? BECAUSE IT SEEMS LIKE
2 THAT'S WHERE ALL OUR EGGS ARE RIGHT NOW. AND IS
3 THERE A PLAN FOR COMMON MESSAGING? YOU KNOW,
4 OBVIOUSLY WE'RE DELIVERING MESSAGES EVERY DAY ABOUT
5 THE WORK THAT WE DO, BUT THE CAMPAIGN WILL HAVE ITS
6 OWN MESSAGING. AND I KIND OF FELT LIKE, WHEN THE
7 *CHRONICLE* ARTICLE RAN, THERE WAS NOT COHERENT
8 MESSAGING BETWEEN THE TWO EFFORTS, AND I THINK THAT
9 THAT PRESENTS PROBLEMS AS WE GET CLOSER TO THE
10 CAMPAIGN. AND TO BE PERFECTLY HONEST, THAT
11 CAMPAIGN, FOR ALL RIGHTS AND PURPOSES, STARTS ON
12 NOVEMBER 7TH.

13 ONCE WE GET OUT OF THIS CYCLE, 2020 LOOMS
14 LARGE IN FRONT OF US. SO IS THERE A PLAN TO PERHAPS
15 DESIGNATE A COMMITTEE OF THE BOARD TO HAVE SOME SORT
16 OF INTERACTION WITH THE CAMPAIGN? THERE SHOULD BE
17 SOME AT LEAST FORMAL DIALOGUE, I THINK. I DON'T
18 THINK THAT'S FORBIDDEN. BUT RIGHT NOW THE CAMPAIGN
19 IS OUT THERE MAYBE AND MAYBE NOT. AND WE HAVE A
20 DUTY AS A BOARD TO BE PREPARED TO TAKE ACTION IF
21 THAT CAMPAIGN DOESN'T MATERIALIZE. AND WE HAVE NO
22 GUARANTEE, NO SENSE THAT IT WILL HAPPEN.

23 I'M NOT SURE WHAT THE STRATEGY IS FOR
24 GETTING ON THE BALLOT. THERE'S TWO WAYS. ONE IS
25 THROUGH THE LEGISLATURE AND THE OTHER WAY IS THROUGH

BETH C. DRAIN, CA CSR NO. 7152

1 SIGNATURES. WHEN WILL THE CAMPAIGN COMMITTEE BE
2 FORMED? WE'RE TWO YEARS AWAY, AND THESE THINGS, THE
3 LEAD-TIME JUST FOR COLLECTING SIGNATURES, I THINK IT
4 WOULD BE, GIVEN THE LARGE TURNOUT WE'RE GOING TO
5 HAVE IN THE GOVERNOR'S RACE, SIGNATURE COLLECTION
6 EFFORT, IT'S GOING TO BE FAIRLY EXPENSIVE, I WOULD
7 THINK BETWEEN 5 AND 10 MILLION. AND SO HAVING MORE
8 INFORMATION OR SOME SORT OF DIALOGUE ON WHAT THE
9 FUTURE IS AND HAVING SOME SORT OF WAY TO COMMUNICATE
10 BACK AND FORTH SO THAT REALLY WE KNOW AS BOARD
11 MEMBERS WHAT'S HAPPENING. AND I THINK FOR THE
12 PEOPLE, OUR TEAM WHOSE LIVELIHOODS DEPEND ON IT, IT
13 WOULD BE VERY HELPFUL. SO I'M JUST TRYING TO GET A
14 SENSE OF THAT.

15 CHAIRMAN THOMAS: THANK YOU, MR. SHEEHY.

16 MR. TORRES: MAY I RESPOND?

17 CHAIRMAN THOMAS: YES, SENATOR TORRES.

18 MR. TORRES: NOVEMBER 7TH IS THE START OF
19 ANY CAMPAIGN FOR 2020, WHETHER PRESIDENT OR THIS
20 INITIATIVE. AT THAT POINT WE CAN DETERMINE JUST HOW
21 MANY SIGNATURES WE NEED BECAUSE SIGNATURES REQUIRED
22 FOR AN INITIATIVE ARE BASED UPON THE LAST VOTER
23 TURNOUT IN A GOVERNOR'S RACE. I PRESUME IT'S GOING
24 TO BE HIGHER THAN IT WAS FOR JERRY FOUR YEARS AGO.

25 SO, NO. 1, FIRST STEP IS ON NOVEMBER 7TH

BETH C. DRAIN, CA CSR NO. 7152

1 GET A SENSE OF WHO VOTED AND THEN FIGURE OUT WHAT
2 THE PERCENTAGE IS. I THINK BOB KLEIN IS WELL AWARE
3 OF HOW WE NEED TO COLLECT SIGNATURES. I HAVE ALWAYS
4 BEEN IN FAVOR OF COLLECTING SIGNATURES BECAUSE
5 THAT'S A GROUNDSWELL OF SUPPORT AND EDUCATION.

6 MY PROPOSITION 65, WHICH YOU FIND ON THE
7 BACK OF EVERY WINE BOTTLE IN TERMS OF CARCINOGENS,
8 HAD TO BE PASSED BY THE PEOPLE BECAUSE I COULDN'T
9 GET IT THROUGH THE LEGISLATURE. BUT IT TOOK
10 SIGNATURE GATHERING DURING THAT PERIOD OF TIME, LESS
11 EXPENSIVELY OBVIOUSLY IN 1986 THAN IT IS TODAY, BUT
12 CLEARLY SIGNATURE GATHERING IS A PREFERRED METHOD OF
13 EDUCATING THE PUBLIC BECAUSE WHEN YOU GET OUT THERE
14 WITH PEOPLE TALKING TO EACH OTHER CREATES A BUZZ,
15 THAT CREATES AN ONSLAUGHT.

16 AS TO WHAT OUR RELATIONSHIP SHOULD BE,
17 YES, WE CAN HAVE DISCUSSIONS, AS I'VE BEEN INFORMED,
18 WITH MR. KLEIN WHO CAME TO TESTIFY BEFORE US AND
19 GAVE US SOME VERY ENCOURAGING STATISTICS IN TERMS OF
20 WHERE THE PEOPLE OF CALIFORNIA ARE TODAY. AS YOU
21 KNOW, A POLL IS JUST A SNAPSHOT IN TIME OF THAT
22 PARTICULAR DAY, BUT CLEARLY 70 PERCENT SUPPORT
23 AFTER-THE-PUSH QUESTIONS IS CLEARLY A GOOD OMEN, BUT
24 THAT DOESN'T MEAN IT'S GUARANTEED.

25 SO THE NATURE OF OUR RELATIONSHIP HAS TO

BETH C. DRAIN, CA CSR NO. 7152

1 BE DELINEATED BY OUR COUNSEL AS TO WHAT WE CAN OR
2 CANNOT DO WITH A CAMPAIGN ONCE IT BEGINS. I'M AN
3 80-PERCENT EMPLOYEE, AND I'M A PART-TIME EMPLOYEE IN
4 THIS INSTITUTE RECEIVING A SALARY, WHICH HAS NOT
5 BEEN INCREASED SINCE I STARTED IN 2009 THANKS TO
6 THIS BOARD. AND AS A RESULT OF THAT, 20 PERCENT OF
7 MY TIME IS MY OWN, AND I INTEND TO USE IT ALL FOR
8 THIS CAMPAIGN ONCE IT GETS STARTED. SO I WILL BE
9 INTIMATELY INVOLVED WITH THE 20 PERCENT THAT IS NOT
10 ON STATE TIME FOR THIS EFFORT BECAUSE IT IS SO
11 IMPORTANT FOR ALL OF US.

12 SO THAT'S A PRELIMINARY DISCUSSION. I
13 THINK THERE WILL BE MORE DISCUSSIONS, JEFF, ONCE WE
14 FIGURE OUT JUST WHAT ARE THE LEGAL PARAMETERS THAT
15 WE CAN ABIDE BY IN TERMS OF DISCUSSIONS WITH THE
16 CAMPAIGN AND AS WE MOVE FORWARD.

17 MR. SHEEHY: IS IT POSSIBLE TO GET A
18 TIMELINE? AND JUST --

19 MR. TORRES: I JUST SAID WE DON'T HAVE A
20 TIMELINE YET. THE ONLY TIME THAT WE HAVE IS
21 NOVEMBER 7TH AND WE START FROM THERE.

22 MR. SHEEHY: AND JUST IN TERMS OF
23 SIGNATURES, IT'S ABOUT 20 BUCKS A SIGNATURE NOWADAYS
24 ALL COST IN. SIGNATURES ARE NOT GOING TO BE -- AT
25 LEAST THAT'S WHAT THEY TELL ME COMING OUT OF THE

BETH C. DRAIN, CA CSR NO. 7152

1 LAST CYCLE. SO I JUST -- I GUESS JUST A LITTLE BIT
2 MORE OF A FORMAL STRUCTURE. I MEAN WE ALL OF RIGHTS
3 AND PURPOSES ARE VOLUNTEERS. AND SO WE COULD ADD
4 OUR VOICES TO THOSE WITHIN THE CAMPAIGN AND SOME WAY
5 INFORMALLY TAKE PART, BUT THE CLOCK IS TICKING. AND
6 TWO YEARS IS NOT A LONG TIME IN POLITICS, AND THE
7 AMOUNT OF MONEY IT WOULD TAKE TO RUN A CAMPAIGN IS
8 NOT INSIGNIFICANT.

9 WHEN WILL THE CAMPAIGN BE OPEN -- CAMPAIGN
10 COMMITTEE BE OPENED IS ONE QUESTION. THE SECOND
11 QUESTION IS HAS THERE BEEN A DECISION MADE TO
12 COLLECT SIGNATURES OR GO TO THE LEGISLATURE AND
13 WHO'S MAKING THAT DECISION? THE NEXT QUESTION IS
14 WHEN IS THE SIGNATURE GATHERING GOING TO START?

15 THERE'S A LOT OF SIGNIFICANT QUESTIONS.
16 AND, AGAIN, MY CORE POINT IS I THINK WE'VE BUILT AN
17 AMAZING MACHINE HERE AND AN AGENCY. AND I GIVE SO
18 MUCH CREDIT TO THE AMAZING TEAM AT CIRM AND THEIR
19 DEDICATION AND THEIR HARD WORK, BUT THIS IS THEIR
20 LIVELIHOODS. AND I THINK WHEN 2020 STARTS, I THINK
21 EVEN 2019, I WOULD WANT TO KNOW -- IF I HAVE A
22 FAMILY TO SUPPORT, I'D LIKE TO HAVE SOME SENSE OF
23 WHAT IS GOING TO HAPPEN WHEN AND HAVE SOME DEEPER
24 ENGAGEMENT JUST SO I HAD OR DEEPER UNDERSTANDING OF
25 WHAT THE PROCESSES ARE AND WHAT THE PLAN IS SIMPLY

BETH C. DRAIN, CA CSR NO. 7152

1 SO I CAN MAKE MY OWN PLANS.

2 OUR TEAM HAS REALLY BEEN RECOGNIZED BY NIH
3 FOR THEIR SUPERLATIVE WORK. AND I THINK THIS MAY BE
4 THE FIRST TIME THAT NIH HAS ACTUALLY DELEGATED
5 MANY -- DR. MILLAN WILL TALK ABOUT THIS IN A
6 MINUTE -- MANY OF THEIR FUNCTIONS TO AN OUTSIDE
7 AGENCY, SOME OF THEIR CORE FUNCTIONS, THEY HAVE SO
8 MUCH CONFIDENCE IN THE MACHINE THAT WE'VE BUILT.
9 AND I WOULD HATE TO SEE THAT START TO FADE AWAY
10 BECAUSE OF THE UNCERTAINTY OF WHAT'S GOING ON.
11 THAT'S MY ONLY THING. MAYBE THIS IS -- MAYBE WE CAN
12 HEAR MORE IN DECEMBER.

13 MR. TORRES: I DON'T THINK ANYONE HERE CAN
14 BE UNMATCHED TO ANYONE HERE IN RESPECT TO OUR
15 CONCERN FOR OUR STAFF AND THEIR LIVELIHOODS. AND
16 THAT'S SOMETHING I TAKE VERY SERIOUSLY, AND
17 CERTAINLY I'M GOING TO DO WHATEVER I CAN TO MAKE
18 SURE THAT INITIATIVE PASSES. I DON'T THINK GOING TO
19 THE LEGISLATURE IS AN APPROPRIATE STEP AND I NEVER
20 THOUGHT THAT. I STILL BELIEVE THAT SIGNATURE
21 GATHERING IS THE BEST WAY TO GO, AND I THINK WE JUST
22 HAVE TO FIGURE OUT WHAT THE BUDGET IS GOING TO BE.

23 MR. SHEEHY: I'M NOT ADVOCATING FOR GOING
24 TO LEGISLATURE. WE DON'T EVEN HAVE THE FIRM
25 DECISION YET THAT THERE WILL BE A CAMPAIGN.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. TORRES: HAS HE TOLD YOU THAT?

2 MR. SHEEHY: LAST TIME I TALKED TO BOB HE
3 WAS LEANING IN THAT DIRECTION, BUT HE DID NOT SAY
4 THAT HE HUNDRED PERCENT WAS GOING TO RUN A CAMPAIGN.
5 I ASSUME HE IS. EVERYTHING LEADS IN THAT DIRECTION,
6 BUT IT'S UNCERTAINTY.

7 CHAIRMAN THOMAS: I WOULD ECHO WHAT
8 SENATOR TORRES SAID ABOUT EVERYTHING POINTS TO BOB
9 INTENDING TO DO THAT. THE SIGNATURE GATHERING
10 STRATEGY THAT HE HAS, WHICH SENATOR TORRES COMMENTED
11 ON, YOU MAY RECALL IN PROPOSITION 71, BOB'S TAKE
12 THEN WAS WHATEVER THE NUMBER IS THAT YOU NEED TO GET
13 TO QUALIFY, YOU GO OUT AND GET SEVERAL HUNDRED
14 THOUSAND MORE JUST TO NOT ONLY EMPHASIZE THE POINT,
15 BUT TO GET THAT MANY MORE PEOPLE INVOLVED IN THE
16 DIALOGUE WHO THEN GO OUT AND TALK TO ALL OF THEIR
17 FRIENDS. AND HE VIEWED IT AS STRATEGICALLY A VERY
18 GOOD WAY TO GO TO GENERATE INTEREST IN THE SUBJECT
19 OF THE PROPOSITION.

20 BUT, JEFF, THAT IS CORRECT, HE HAS NOT
21 SAID DEFINITELY, BUT EVERYTHING POINTS IN THAT
22 DIRECTION. AND WE ARE, UNDER THE GUIDANCE OF MR.
23 TOCHER, ABLE TO PROVIDE HIM WITH INFORMATION AND
24 DATA ON WHAT IS GOING ON AT CIRM WITH RESPECT TO ALL
25 THE PROGRAMS. AND WE ARE DOING THAT AND HAVE BEEN

BETH C. DRAIN, CA CSR NO. 7152

1 DOING THAT.

2 AND TO YOUR POINT OF TRYING TO GET COMMON
3 MESSAGING, THAT IS DEFINITELY A GOAL THAT WE HAVE
4 GOING FORWARD. SO WE WANT TO BE AS COORDINATED AS
5 WE CAN, AS SUPPORTIVE AS WE CAN, BUT MAKING SURE WE
6 DO SO UNDER THE STRICT GUIDELINE OF MR. TOCHER.

7 MR. TOCHER: I JUST WANT TO BACK THAT UP.
8 TO YOUR POINT EARLIER, JEFF, ABOUT COORDINATION AND
9 SUCH, I THINK, IF BY COORDINATION YOU MEAN MAKING
10 SURE THAT THE CAMPAIGN AND THE PUBLIC IN GENERAL HAS
11 IMPARTIAL AND ACCURATE INFORMATION ABOUT CIRM'S
12 ACCOMPLISHMENTS OF WHAT CIRM DOES AND HAS DONE,
13 THAT'S ABSOLUTELY TRUE. AND THAT'S ABSOLUTELY
14 SOMETHING THAT THE AGENCY CAN DO NOW AND EVEN
15 THROUGHOUT A CAMPAIGN.

16 IF BY COORDINATION SOMEONE WERE TO TAKE
17 THAT TO MEAN THAT THERE IS MESSAGING WORKED TOGETHER
18 THAT WOULD HAVE THE EFFECT OF PROMOTING A BALLOT
19 MEASURE OR PROMOTING A CERTAIN OUTCOME ON A BALLOT
20 MEASURE, THAT WOULD BE SOMETHING WE'RE PRECLUDED
21 FROM DOING AND SOMETHING WE WOULDN'T DO.

22 MR. SHEEHY: HOW WE DESCRIBE THE WORK THAT
23 WE HAVE DONE WITH THE AGENCY, WHAT OUR FUTURE LOOKS
24 LIKE SO THAT WE'RE SPEAKING WITH ONE VOICE ABOUT --
25 WHICH WE DO ANYWAY.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. TOCHER: AND THAT'S SOMETHING THAT HAS
2 PRECEDED THE MEASURE AND PRECEDED THIS EFFORT.
3 WE'VE ALWAYS HAD THAT EFFORT, AND IT HAS ALWAYS BEEN
4 AN ACTIVITY THAT THE AGENCY HAS BEEN ROBUST ABOUT.

5 CHAIRMAN THOMAS: ANY OTHER COMMENTS ON
6 THIS PARTICULAR TOPIC? OKAY.

7 JUST A QUICK FEW OTHER POINTS IN THE
8 CHAIR'S REPORT. WE, SINCE THE LAST BOARD MEETING,
9 HAD OUR ANNUAL MEETING OF BRIDGE STUDENTS ON THE ONE
10 HAND AND THE SPARK HIGH SCHOOL STUDENTS ON THE
11 OTHER. AND AS ANY OF YOU WHO HAVE GONE TO THESE
12 MEETINGS WILL ATTEST, THESE PROGRAMS, IN MY OPINION,
13 ARE AMONGST THE BEST THINGS THAT WE FUND HERE. WE
14 EVERY YEAR HAVE HELPED PRODUCE A NEW GENERATION OF
15 POTENTIAL PARTICIPANTS IN THE STEM CELL WORKFORCE
16 THAT ARE REALLY IMPRESSIVE.

17 IF YOU GO AND LISTEN TO THE PRESENTATIONS
18 THEY MAKE THROUGH THEIR POSTERS AND YOU SIT DOWN AND
19 YOU TALK TO THEM, THEY REALLY ARE NOT ONLY
20 TREMENDOUSLY ENTHUSIASTIC, BUT EXTRAORDINARILY
21 BRIGHT. THAT GOES FOR THE COLLEGE STUDENTS IN THE
22 BRIDGE'S PROGRAM, POSTGRADS, BUT ALSO FOR SURE
23 APPLIES TO THE HIGH SCHOOL STUDENTS WHO EVERY YEAR
24 GO IN TO BEGIN THEIR EIGHT WEEKS HAVING SOME
25 RUDIMENTARY KNOWLEDGE OF STEM CELLS AND COME OUT AT

BETH C. DRAIN, CA CSR NO. 7152

1 THE END OF SUMMER CONFERENCE SOUNDING LIKE BUDDING
2 PH.D.'S. IT'S REALLY SOMETHING THAT'S IMPRESSIVE.
3 AND I WOULD RECOMMEND TO THE BOARD NEXT YEAR WE'LL
4 LET PEOPLE KNOW BECAUSE THESE MEETINGS TEND TO MOVE
5 AROUND. IF YOU HAVE THEM IN YOUR AREA, YOU REALLY
6 SHOULD GO TO ONE OF THESE BECAUSE YOU'LL JUST BE
7 EXTRAORDINARILY IMPRESSED AND REALLY FEEL GOOD ABOUT
8 THE FUTURE OF THE BUSINESS AND FEEL GOOD ABOUT WHAT
9 CIRM IS ENABLING TOWARDS GETTING THAT WORKFORCE IN
10 PLACE.

11 WE ALSO HAD WHAT WE CALL THE MEETING ON
12 THE MESA DOWN IN LA JOLLA, WHICH IS AN ANNUAL
13 GATHERING OF INDUSTRY. AND IT'S ALWAYS A GOOD
14 BELLWETHER, MUCH AS THE J.P. MORGAN CONFERENCE IS,
15 ABOUT THE STATE OF THE INDUSTRY. THEY'VE HAD IT FOR
16 YEARS NOW AT THE ESTANCIA HOTEL, WHICH A NUMBER OF
17 YOU HAVE STAYED AT PROBABLY, AND THERE WERE SO MANY
18 COMPANIES IN ATTENDANCE AT THIS MEETING, THAT THE
19 BOOTHS WERE NOW OUT IN THE DRIVEWAYS AND THE ROADS
20 LEADING INTO THE HOTEL, AND THEY'VE TOTALLY OUTFRAN
21 THE -- OUTGROWN THE PLACE WHERE WE'RE GOING TO HAVE
22 THE CONFERENCE FOR NEXT YEAR. I FORGET, THEY SAID
23 CALABASAS OR SOMETHING, BUT THE ATTENDANCE HERE ON
24 THE INDUSTRY SIDE WAS 25 PERCENT UP FROM LAST YEAR,
25 WHICH IS A HUGE INCREASE. THERE WERE MANY COMPANIES

BETH C. DRAIN, CA CSR NO. 7152

1 THAT HAVE CONNECTION TO CIRM-FUNDED RESEARCH.

2 DR. MILLAN WHAT WOULD YOU SAY? HOW MANY WERE THERE
3 AS FAR AS YOU COULD TELL?

4 DR. MILLAN: AT LEAST TEN TO 9 A.M.
5 REPRESENTED, EITHER ACADEMIC OR INDUSTRY.

6 CHAIRMAN THOMAS: SO CIRM IS ALWAYS
7 PROMINENTLY FEATURED IN THESE THINGS.

8 ANOTHER THING, IF YOU HAPPEN TO FIND
9 YOURSELF IN SAN DIEGO IN EARLY OCTOBER, IT'S A GOOD
10 THING TO DROP IN TO SEE. VERY IMPRESSIVE.

11 LASTLY, JUST WANTED TO REPORT LOS ANGELES
12 COUNTY HAS TAKEN A REAL INTEREST IN PROMOTING
13 BIOSCIENCE AND HAD -- THIS IS THROUGH, IN
14 PARTICULAR, ONE OF THE SUPERVISORS DOWN THERE, MARK
15 RIDLEY THOMAS, AND THEY'VE CONVENED A GROUP CALLED
16 BIOSCIENCE L.A., WHICH IS A MEETING THAT WAS HELD A
17 NUMBER OF WEEKS AGO THAT BROUGHT IN MEMBERS OF
18 INDUSTRY AND ACADEMIA AND FUNDERS ALL WITH SOME SORT
19 OF L.A. COUNTY CONNECTION. EXTREMELY WELL ATTENDED.
20 IT WAS AT LOYOLA MARYMOUNT. AND SUGGESTIVE OF THE
21 RISING LEVEL OF INTEREST AND ENTHUSIASM IN THE
22 FIELD. JOE PANETTA'S GROUP IS PROMINENTLY FEATURED.
23 AND HE, OF COURSE, HAS DONE GREAT WORK IN LEADING
24 INDUSTRY BOTH IN SAN DIEGO AND IN L.A. NOW AND IN
25 THE BAY AREA. AND THIS IS ANOTHER ONE OF THESE

BETH C. DRAIN, CA CSR NO. 7152

1 THINGS YOU CAN GO TO TO GAUGE WHERE THINGS ARE
2 GOING. VERY INTERESTING.

3 WITH THAT, THAT CONCLUDES MY CHAIRMAN'S
4 REPORT. I WOULD NOW LIKE TO TURN IT OVER TO DR.
5 MILLAN FOR THE PRESIDENT'S REPORT.

6 DR. MILLAN: GOOD MORNING, MEMBERS OF THE
7 BOARD AND THE PUBLIC AND COLLEAGUES. I WILL BEGIN
8 WITH THE PRESIDENT'S REPORT ONCE I GET IT ENABLED TO
9 DO SO.

10 MR. TOCHER: CHAIRMAN, IF I COULD, JUST
11 INDICATE FOR THE RECORD THAT MEMBERS PRIETO AND
12 STEWARD JOINED DURING THE CHAIRMAN'S REPORT.

13 CHAIRMAN THOMAS: THANK YOU.

14 DR. MILLAN: THANK YOU VERY MUCH. WE'RE
15 READY TO START. SO AS WE BEGIN EVERY MEETING, WE
16 BEGIN WITH OUR MISSION, WHICH CONTINUES TO BE TO
17 ACCELERATE STEM CELL TREATMENTS TO PATIENTS WITH
18 UNMET MEDICAL NEEDS, OR ACTUALLY WE CAN EVEN SHORTEN
19 IT FURTHER TO ACCELERATE CURES TO PATIENTS.

20 BEFORE WE DISCUSS THE PROPOSED BUDGET
21 WE'RE BRINGING TO THE BOARD TODAY, DESCRIBE SOME OF
22 OUR NEW INITIATIVES AND DESCRIBE SOME PROPOSED
23 CONCEPT CHANGES TO SUPPORT OUR UPCOMING ACTIVITIES
24 FOR 2019. I JUST WANTED TO GIVE AN UPDATE ON A VERY
25 BIG PICTURE OVERVIEW ON HOW WE'RE DOING ON THE

BETH C. DRAIN, CA CSR NO. 7152

1 STRATEGIC PLAN WHICH WE LAUNCHED IN 2016.

2 AS YOU RECALL, WE HAD SIX MAJOR CATEGORIES
3 THAT COMPOSE OUR STRATEGIC PLAN. THE GENERAL
4 PRINCIPLE OF THIS IS TO BUILD A ROBUST PORTFOLIO TO
5 BRING THEM TO THE CLINICS AND TO ACCELERATE
6 DEVELOPMENT. AND SO WHERE WE ARE TODAY IS WE
7 BROUGHT OVER 36 NEW CANDIDATES INTO OUR PIPELINE, WE
8 ARE INCREASING THE PROGRESSION OF THESE PROGRAMS,
9 HAVE SIGNIFICANT NUMBERS OF PROGRAMS GOING FROM ONE
10 STAGE TO THE NEXT, WHICH IS GREATLY ENABLED BY THE
11 NEW INFRASTRUCTURE WHICH HAD BECOME MORE AND MORE
12 HELPFUL FOR OUR PROGRAMS, INCLUDING THE CLINICAL
13 ADVISORY PANEL AND NOW THE NEWLY LAUNCHED
14 TRANSLATIONAL ADVISORY PANEL, AS WELL AS OUR
15 INFRASTRUCTURE PROGRAMS THAT REALLY HELP OUR
16 PROGRAMS OVERCOME BARRIERS AND HELP THEM TO
17 ACCELERATE THE PROGRESSION.

18 IN ADDITION, IN OUR GOAL OF ENACTING A NEW
19 REGULATORY PARADIGM, WE HAVE ONE OF THE LEADERS IN
20 INTERACTIONS WITH THE FDA IN ENACTING THE NEW
21 REGENERATIVE MEDICINE ADVANCE THERAPIES, AND WE HAVE
22 FOUR OF THOSE PROGRAMS UNDER WAY.

23 WE NOW HAVE 49 TOTAL CLINICAL TRIALS, 32
24 NEW CLINICAL TRIALS OF THE TARGET 50. AND I WILL
25 GIVE A LITTLE BIT OF AN UPDATE ON WHERE WE ARE WITH

1 THAT LATER.

2 AND ONE OF THE MOST NOTABLE MEASURES OF
3 WHERE WE ARE, WHAT CIRM'S VALUE PROPOSITION IS, AND
4 WHAT WE HAVE BEEN DOING IN TERMS OF BUILDING AN
5 INDUSTRY, JUST THIS YEAR ALONE APPROXIMATELY \$600
6 MILLION OF ADDITIONAL INVESTMENTS HAVE GONE INTO OUR
7 PORTFOLIO PROGRAMS, BRINGING US TO APPROXIMATELY
8 \$2.7 BILLION IN LEVERAGE FUNDING. TWO OF OUR
9 PROGRAMS THAT WE HAD SUPPORTED FROM THE EARLY STAGES
10 AND EARLY RESEARCH AND EVEN IN THE LAB HAVE NOW GONE
11 INTO COMPANIES THAT HAVE RECENTLY SUCCESSFULLY
12 COMPLETED THEIR INITIAL PUBLIC OFFERING AND ARE NOW
13 IN THE PUBLIC MARKET TO HELP SUPPORT THE DEVELOPMENT
14 OF THESE PROGRAMS.

15 SO THESE ARE ALL JUST INDICATIONS THAT OUR
16 MODEL OF ACCELERATION, DERISKING, AND PARTNERSHIP IS
17 WORKING WELL.

18 JUST TO GIVE AN UPDATE OF WHAT FUNDING HAS
19 GONE INTO ACCOMPLISHING THESE ACTIVITIES, JUST
20 SHOWING KIND OF AN OVERVIEW OF WHAT THE EXPENDITURES
21 HAVE BEEN THUS FAR INTO OUR FIVE PILLARS OF
22 INVESTMENT SINCE THE INCEPTION OF THE AGENCY. SO
23 OVER \$480 MILLION IN INFRASTRUCTURE. THAT INCLUDES
24 NOT ONLY BUILDING INFRASTRUCTURE, BUT PROGRAMS SUCH
25 AS CREATION OF THE IPSC BANK, CREATION OF THE ALPHA

BETH C. DRAIN, CA CSR NO. 7152

1 CLINICS NETWORK, AND ALSO INFRASTRUCTURE TO SUPPORT
2 CLINICAL TRIALS, SUCH AS OUR PARTNERSHIP WITH IQVIA.

3 ALMOST \$900 MILLION INTO DISCOVERY
4 PROGRAMS. AS WE ALL KNOW, GOOD MEDICINE STARTS WITH
5 STRONG SCIENCE. AND SO FROM THE VERY BEGINNING,
6 CIRM'S SIGNATURE HAS ALWAYS BEEN TO SUPPORT TOP
7 TIER, HIGH RISK, BUT HIGH REWARD RESEARCH.

8 \$334 MILLION HAS BEEN INVESTED INTO OUR
9 TRANSLATIONAL PROGRAM. I'D LIKE TO JUST NOTE THAT
10 THE TRANSLATIONAL PIECE OF WHAT CIRM DOES, OF HOW IT
11 PROMOTES TRANSLATION OF DISCOVERIES INTO THE
12 CLINICS, IS SOMETHING THAT IS VERY UNIQUE TO THIS
13 AGENCY. IT IS THE REASON WHY THE NIH WAS ATTRACTED
14 TO US AS A PARTNER IN THE CURE SICKLE CELL
15 INITIATIVE. IT'S SOMETHING THAT'S NOT TAKEN CARE OF
16 BY OTHER ORGANIZATIONS EITHER IN INDUSTRY OR
17 NONPROFIT FUNDING AS ROBUSTLY AS IT IS BY CIRM.

18 AND WE HAVE HAD AN INCREASING AMOUNT OF
19 INVESTMENT INTO OUR CLINICAL PROGRAMS BECAUSE, AS
20 YOU KNOW, WE HAVE BEEN CONTINUALLY GROWING OUR
21 CLINICAL PORTFOLIO. IN FACT, SINCE THE BEGINNING OF
22 OUR STRATEGIC PLAN, WE'RE PROJECTED BY THE END OF
23 THIS YEAR TO NEARLY TRIPLE OUR CLINICAL PORTFOLIO
24 FROM WHAT IT WAS BUILT UP OVER THE PREVIOUS 9 A.M.
25 YEARS PRIOR TO LAUNCHING, ACTUALLY 16 YEARS PRIOR TO

BETH C. DRAIN, CA CSR NO. 7152

1 LAUNCHING THE STRATEGIC PLAN.

2 \$219 MILLION INTO EDUCATION TO BUILD THE
3 WORKFORCE TO START EARLY, AND SENATOR TORRES HAD
4 DESCRIBED SOME OF THOSE PROGRAMS AS WELL AS DR.
5 THOMAS.

6 YOU WILL RECALL THAT NOVEMBER OF LAST YEAR
7 AND JANUARY EARLY THIS YEAR WE PRESENTED TO THIS
8 BOARD OUR PLANNED TRANSITION PLAN DURING THIS PHASE
9 IN CIRM WHERE WE ARE EXPENDING THE FINAL DOLLARS OF
10 THE PROPOSITION 71 RESEARCH AND ADMINISTRATIVE
11 FUNDS. AND WE ARE CONTINUING TO DO THAT WITH THREE
12 MAJOR BASIC PRINCIPLES. AND THAT IS THAT WE
13 CONTINUE TO EXECUTE ON OUR FIVE-YEAR STRATEGIC PLAN.
14 WE THINK IT'S A GOOD PLAN. WE THINK IT'S
15 ACCOMPLISHING WHAT IT SET OUT TO DO AGAIN AS
16 EVIDENCED BY THE SUCCESSES IN BEING ABLE TO MATURE
17 THE PROGRAMS AND ATTRACT INDUSTRY PARTNERSHIP.

18 WE ARE CONTINUING TO SEEK TO RETAIN THE
19 TOP TALENT HERE AT CIRM AND MAINTAIN A CRITICAL
20 PERSONNEL LEVEL. AS WE HAD MENTIONED SEVERAL TIMES,
21 CIRM IS A SPECIALTY ORGANIZATION WITH EXPERTISE IN
22 THIS SPACE, EXPERTISE IN TERMS OF THE DEVELOPMENT,
23 EXPERTISE IN TERMS OF THE VALUE PROPOSITION OF A
24 VERY UNIQUE ACCELERATION MODEL SO WE WISH TO RETAIN
25 THAT PERSONNEL LEVEL THROUGHOUT IN THE ENSUING TIME

BETH C. DRAIN, CA CSR NO. 7152

1 BETWEEN NOW AND THE 2020 BOND INITIATIVE WHERE WE
2 HOPE THAT WE WILL BE ABLE TO CONTINUE THIS WORK.

3 WE ALSO ARE CONTINUING NOT ONLY TO
4 MAINTAIN AND PRESERVE CIRM'S VALUE PROPOSITION, BUT
5 DURING THIS TIME PERIOD, WE'RE CONTINUING TO MAKE
6 IMPROVEMENTS IN OUR SYSTEMS. AND WE'RE, ALONG WITH
7 THE BOARD, WHICH YOU WILL SEE IN A LITTLE BIT, ARE
8 CONTINUING TO KEEP AN EYE ON WHERE IS THE FIELD
9 GOING AND WHERE THE OPPORTUNITIES ARE.

10 SO THIS IS JUST A SNAPSHOT OF OUR CLINICAL
11 PORTFOLIOS. AS YOU CAN SEE, IT IS A VERY DIVERSE
12 PORTFOLIO. FORTY-NINE CLINICAL TRIALS HAVE BEEN
13 FUNDED BY THE AGENCY; APPROXIMATELY 42 OR 43 ARE
14 ACTIVE. THAT IS A HUGE PORTFOLIO BY ANY STRETCH. I
15 WAS AT A RECENT CONFERENCE WITH BIG PHARMA. AND
16 THEIR PORTFOLIOS ARE ABOUT MAYBE EVEN A LITTLE BIT
17 LESS THAN THAT, SO IT'S A VERY ROBUST PORTFOLIO.

18 SO WITH THAT, I'D JUST LIKE TO GIVE AN
19 UPDATE OF WHAT OUR 2018 RESEARCH BUDGET LOOKS LIKE.
20 ON THE SECOND COLUMN, THE 2018 ALLOCATION, YOU WILL
21 SEE WHAT WAS ALLOCATED INTO THE FOUR PROGRAMS: 130
22 MILLION INTO CLINICAL, 30 MILLION INTO TRANSLATION,
23 10 MILLION IN DISCOVERY, AND 750,000 INTO EDUCATION.
24 WE'RE ESTIMATING TO END THE YEAR CLOSE TO BUDGET FOR
25 CLINICAL. WE STILL HAVE A COUPLE OF MONTHS LEFT IN

BETH C. DRAIN, CA CSR NO. 7152

1 THIS YEAR. WE'RE EXPENDING MOST OF THE TRANSLATION
2 BUDGET, 28 MILLION. AND UP FOR DISCUSSION LATER, WE
3 HAD ALLOCATED \$10 MILLION FOR DISCOVERY, BUT THE
4 APPLICATION REVIEW SUBCOMMITTEE WILL DISCUSS
5 POTENTIALLY ANOTHER \$8 MILLION IN ALLOCATION FOR
6 ADDITIONAL RECOMMENDED DISCOVERY PROGRAMS.

7 SO BY THE END OF 2018, IN COMPARISON TO
8 WHERE WE STARTED THE YEAR IN JANUARY, WE WILL HAVE
9 APPROXIMATELY \$144 MILLION LEFT IN OUR RESEARCH
10 BUCKET. THERE IS AN ESTIMATED APPROXIMATELY \$30
11 MILLION THAT WE EXPECT WILL COME BACK INTO THAT
12 BUCKET. EXCEPT FOR THE EIGHT MILLION THAT IS
13 SUBJECT TO THE MOTION THAT SCOTT TOCHER WILL KIND OF
14 ARTICULATE MUCH BETTER LATER, AN \$8 MILLION
15 POTENTIAL SET ASIDE FROM THIS PROJECTED RETURN WILL
16 BE TO REPLENISH THE EIGHT MILLION THAT THE
17 APPLICATION REVIEW SUBCOMMITTEE WILL BE CONSIDERING
18 LATER.

19 ASIDE FROM THAT, WE'RE JUST PROPOSING A
20 BUDGET BASED ON WHAT IS CASH ON HAND AVAILABLE ON
21 THE RESEARCH BUCKET. SO WITH THE CIRM \$144 MILLION
22 RESEARCH BUDGET AND \$39 MILLION ADMINISTRATION
23 BUDGET, THE TEAM IS PROPOSING FOR 2019 THAT WE HAVE
24 NO DISCOVERY AWARDS ASIDE FROM THOSE THAT ARE
25 APPROVED FOR FUNDING TODAY. WE WOULD LIKE TO HAVE

BETH C. DRAIN, CA CSR NO. 7152

1 BEEN ABLE TO KEEP THE DISC PROGRAM OPEN. WE BELIEVE
2 IT'S CRITICAL. WE BELIEVE IT'S CRUCIAL. WE'RE
3 WORKING HARD TO GET BRIDGE FUNDING FOR THIS BECAUSE
4 IT IS OUR PIPELINE. IT IS WHERE IT ALL STARTS.
5 HOWEVER, GIVEN THE BUDGETARY RESTRICTIONS, WE HAVE
6 ALLOCATED THE REMAINING BUDGET TO TRAN AND CLINICAL
7 PROGRAMS. BUT WITHIN THOSE, AND YOU WILL HEAR A
8 LITTLE BIT MORE LATER WHEN DR. SAMBRANO PRESENTS THE
9 PROGRAM ANNOUNCEMENT CHANGES, WE ARE PROPOSING A
10 CHANGE IN ELIGIBILITY FOR TRAN AND CLIN1 THAT BRINGS
11 IT EVEN TIGHTER INTO THE MIDDLE OF KIND OF THE CIRM
12 MISSION, WHICH IS TO SUPPORT STEM CELL TREATMENTS.
13 AND IN ADDITION TO THAT, AS PROPOSED BY THE SCIENCE
14 SUBCOMMITTEE, WE'RE ALSO PROPOSING TO INCLUDE, IN
15 ADDITION TO EX VIVO GENE THERAPY, IN VIVO GENE
16 THERAPY AS A VITAL RESEARCH OPPORTUNITY BASED ON
17 WHERE THE FIELD IS TODAY.

18 I WOULD LIKE TO TAKE A MINUTE JUST TO GIVE
19 KIND OF A PERSPECTIVE ON THIS. YOU'VE ALL HEARD
20 ABOUT EVIE WHO HAS SEVERE COMBINED IMMUNO
21 DEFICIENCY, WHICH IS A CELL/GENE THERAPY WHICH HAS
22 LED TO CURES OF EVIE, AND APPROXIMATELY 40 OTHERS
23 HAVE HAD RESPONSES TO THIS TREATMENT. SO IT'S A
24 VERY STRONG PROOF OF CONCEPT FOR THE POWER OF GENE
25 MEDICINE.

BETH C. DRAIN, CA CSR NO. 7152

1 YOU'VE ALSO HEARD THAT AT THE END OF LAST
2 YEAR, THE THREE FIRST CELL/GENE PRODUCTS WERE
3 APPROVED BY THE FDA, TWO WITH CAR-TS AND ONE FOR A
4 MONOGENIC EYE DISEASE. SO THE IDEA OF GENE MEDICINE
5 IS HERE.

6 NOW, ONE CAN SAY, WELL, ISN'T IT MATURE
7 ENOUGH? WON'T INDUSTRY TAKE IT UP? AND THE ANSWER
8 IS ITS STILL EARLY; AND, NO, CIRM STILL HAS AN
9 IMPORTANT ROLE IN TERMS OF DERISKING.

10 IN ADDITION, MOST OF THESE TARGETS THAT
11 ARE AMENABLE TO GENE MEDICINE ARE RARE DISEASES. SO
12 THEY'RE NOT THE TYPE OF DISEASES THAT PHARMA IS
13 GOING TO GO AFTER. THEY'RE TOO SMALL IN NUMBER, TOO
14 SMALL A MARKET SIZE. BUT WHEN ONE THINKS ABOUT IT,
15 THERE ARE APPROXIMATELY 10,000 MONOGENIC DISEASES
16 THAT CAN BE TARGETED WITH GENE THERAPY. AND THE
17 GENETIC ALLIANCE HAS ACTUALLY ESTIMATED 300 MILLION
18 PEOPLE AROUND THE WORLD THAT ARE AFFECTED BY 7,000
19 RARE DISEASES. SO IN AGGREGATE THIS IS A HUGE
20 NUMBER, WHICH I THINK CIRM HAS A REALLY IMPORTANT
21 ROLE IN CONTINUING TO PUSH THE SCIENCE FORWARD IN
22 GENE MEDICINE, WHICH IS A NATURAL EXTENSION. IN
23 FACT, THE GENE MEDICINE IS TARGETING CELLS.

24 SO THAT IS JUST KIND OF A CONTEXT. YOU
25 WILL HEAR A LITTLE BIT MORE ABOUT THAT LATER, AND IT

BETH C. DRAIN, CA CSR NO. 7152

1 WILL BE DISCUSSED, I'M SURE, LATER.

2 AND THEN I JUST WANTED TO GIVE AN UPDATE.
3 SO WHEN WE FIRST LAUNCHED THE STRATEGIC PLAN, WE
4 WENT BOLD AND WE WANTED 50 NEW CLINICAL TRIALS. AND
5 WE ARE ON PACE FOR THAT. WE FUNDED 32 NEW CLINICAL
6 TRIALS, BRINGING US UP TO A TOTAL OF 49. WHERE WE
7 ARE WITH THE BUDGET, MOST LIKELY WE WILL BE ONLY BE
8 ABLE TO REALLY ACHIEVE ABOUT 43 OR 45 NEW CLINICAL
9 TRIALS, WHICH IS STILL REMARKABLE. BUT IT'S JUST BY
10 WAY OF UPDATE; AND, OF COURSE, IF WE GET NEW FUNDS
11 FLOWING IN AS WELL AS MERITORIOUS PROJECTS, THAT
12 WILL IMPROVE OUR ABILITY TO FUND MORE.

13 BUT ONE, I THINK, ADVANCE IS THIS
14 PARTNERSHIP WITH THE NHLBI FOR SICKLE CELL CURES
15 INITIATIVE IS THAT IT DOES LEVERAGE OUR FUNDS. SO
16 FOR OUR DOLLARS GOING INTO SUPPORTING CLINICAL
17 PROGRAMS TO THIS VERY IMPORTANT TARGET, NIH WILL
18 MATCH THOSE FUNDS. SO APPROXIMATELY FOR EVERY ONE
19 CLINICAL TRIAL THAT WE COULD HAVE FUNDED, WE MAY BE
20 ABLE TO FUND TWO OR MORE. SO THAT'S VERY, VERY
21 EXCITING FOR US.

22 AND THEN ONE OF THE THINGS I JUST WANTED
23 TO BRING UP, AND GABE THOMPSON WILL GIVE MORE OF AN
24 OVERVIEW OF HOW WE'RE GOING TO WORK WITH NHLBI ON
25 THIS INITIATIVE, IS I THINK EVERYBODY HAS A LOT OF

BETH C. DRAIN, CA CSR NO. 7152

1 ENTHUSIASM FOR THIS FOR A REASON. SICKLE CELL
2 DISEASE IS SOMETHING WE SHOULD BE ABLE TO CURE GIVEN
3 THE ADVANCEMENTS THAT WE'VE HAD IN GENE MEDICINE AND
4 CELL THERAPY. AND SO WE ARE PLEASED THAT THE NIH
5 RECOGNIZES THE IMPORTANCE OF PARTNERING WITH US, AND
6 WE'RE REALLY EXCITED TO LAUNCH THIS INITIATIVE.

7 SO FAR WITH THE REMAINING ADMINISTRATIVE
8 BUDGET AND OUR PLANNING, WE DO BELIEVE WE WILL BE
9 ABLE TO PROVIDE SUFFICIENT STAFFING TO MANAGE ALL
10 CIRM AWARDS THAT ARE GIVEN OUT WITH THE REMAINING
11 BUDGET.

12 SO WITH THAT BACKGROUND, WE'RE PROPOSING
13 THE RESEARCH BUDGET ALLOCATION FOR 2019 AS SHOWN
14 HERE: \$93 MILLION FOR CLIN1 AND CLIN2 AWARDS, WITH
15 A SET ASIDE FOR \$30 MILLION FOR THE SICKLE CELL
16 JOINT INITIATIVE WITH THE NIH, NHLBI, \$20 MILLION
17 FOR TRAN, AND \$600,000 FOR THE EDUCATION BUDGET TO
18 FUND ALREADY COMMITTED ACTIVITIES IN OUR EDUCATIONAL
19 PROGRAMS AND OUR ALPHA CLINICS.

20 IF THERE ARE NO QUESTIONS, I DON'T KNOW,
21 MR. TOCHER, IF WE TAKE A MOTION HERE. OR, MR.
22 SHEEHY, IF YOU'D LIKE TO HAVE A DISCUSSION FIRST
23 ABOUT OTHER MATTERS BEFORE.

24 MR. SHEEHY: WELL, IT MIGHT BE HELPFUL TO
25 TALK A LITTLE BIT ABOUT THE EIGHT MILLION, WHICH WE

BETH C. DRAIN, CA CSR NO. 7152

1 HAD A VERY GOOD TRANSLATION ROUND IN WHICH WE
2 BASICALLY HAD DOUBLED THE NUMBER OF PROJECTS THAT WE
3 HAD FUNDING FOR. AND I THINK THAT THEY SCORED VERY
4 WELL AND WAS REALLY A CHALLENGE FOR US TO BE ABLE TO
5 DECIDE WHICH ONES TO MOVE FORWARD AND WHICH ONES NOT
6 TO.

7 AND SO THE DECISION WAS TAKEN AT THE LAST
8 APPLICATION REVIEW SUBCOMMITTEE TO APPROVE THOSE
9 PROGRAMS CONTINGENT ON THE LARGER BOARD APPROVING
10 ADDITIONAL FUNDING TO PAY FOR THOSE PROJECTS, WHICH
11 IS ABOUT \$8 MILLION. THAT WOULD LEAD -- I DON'T
12 THINK THERE WAS A PLAN ANYWAY TO DO ANOTHER
13 DISCOVERY ROUND. THIS WAS A QUEST ROUND. I'M
14 SORRY.

15 DR. MILLAN: SO THIS WAS THE QUEST ROUND.

16 MR. SHEEHY: THEY'RE VERY CLOSE ACTUALLY
17 IN HOW THEY STACK UP. THERE'S REALLY SOME
18 OUTSTANDING SCIENCE. IT WAS HARD FOR ME BECAUSE
19 THERE WERE A COUPLE OF PROJECTS THAT GOT LEFT AT THE
20 GATE THAT WERE PLURIPOTENT CELL PRODUCTS THAT ARE
21 REALLY CENTRAL TO OUR MISSION. AND, AGAIN, THE
22 SCIENCE WAS OUTSTANDING. SO WE MADE THAT DECISION.

23 I DON'T KNOW IF THERE'S -- ONE OF OUR
24 MEMBERS WASN'T THERE WHO WAS NOT THAT KEEN ON IT. I
25 DON'T KNOW IF HE HAS A COMMENT ON THAT, BUT I WOULD

BETH C. DRAIN, CA CSR NO. 7152

1 HOPE THAT WE COULD FUND THOSE PROJECTS BECAUSE THEY
2 ARE VERY, VERY GOOD PROJECTS. AND IT WAS SOMEWHAT
3 ARBITRARY HOW WE SEPARATED OUT WHICH ONES WE FUNDED
4 AND WHICH ONES WE DIDN'T, WHICH IS KIND OF WHAT
5 HAPPENS WHEN YOU'RE AT A POINT WHERE -- WE'RE AT A
6 POINT, AND WE KIND OF DISCUSSED THIS IN THE PAST,
7 WHERE WE'RE NOT GOING TO BE ABLE TO FUND ALL THE
8 GOOD SCIENCE THAT COMES TO US UNFORTUNATELY AS WE
9 GET TO THE END OF OUR FUNDING.

10 CHAIRMAN THOMAS: MR. SENATOR.

11 MR. TORRES: TWO THINGS. NO. 1, THANK
12 YOU, JEFF, FOR THE WAY YOU HANDLED THAT MEETING. I
13 THOUGHT IT WAS VERY WELL DONE AND VERY SENSITIVE TO
14 WHAT WE NEED TO DO WITH THOSE PROJECTS.

15 AND SECONDLY TO MARIA FOR YOU AND YOUR
16 STAFF'S EFFORT IN TALKING WITH THE NIH AND MOVING US
17 IN THAT DIRECTION. I KNOW BOTH PARTIES CAME TO THE
18 TABLE WITH EQUAL ADMIRATION, BUT IT TAKES A
19 HERCULEAN EFFORT, AS WE ALL KNOW, TO DEAL WITH THE
20 NIH, THOSE WHO HAVE DONE SO IN THE PAST. AND FOR US
21 TO HAVE A PARTNERSHIP WITH THEM, I THINK, IS VERY,
22 VERY INSTRUCTIVE.

23 I ALSO SPOKE YESTERDAY AFTERNOON WITH
24 CONGRESSWOMAN BARBARA LEE, A FORMER COLLEAGUE OF
25 MINE IN THE LEGISLATURE, AND NOW A SIGNIFICANT

BETH C. DRAIN, CA CSR NO. 7152

1 MEMBER OF THE CONGRESS, WHO REGULARLY TAKES HER
2 MEMBERS TO UCLA TO VISIT THE SICKLE CELL LAB THERE
3 FROM THE BLACK CONGRESSIONAL CAUCUS. AND I MIGHT
4 ADD ALSO THAT THERE ARE A LOT OF LATINOS IN THIS
5 COUNTRY WHO SUFFER FROM SICKLE CELL AS WELL, SO IT'S
6 BOTH A LATINO AND AFRICAN-AMERICAN DISEASE, FOR THAT
7 MATTER. BUT SHE IS SO HAPPY AND SUPPORTIVE OF THE
8 30 MILLION THAT WE'RE GOING TO HOPEFULLY VOTE AND
9 APPROVE TODAY. AND I JUST WANTED TO PASS THAT ALONG
10 AND ALSO TO PASS ON HER PERSONAL REGARDS TO HER
11 HERO, BERT LUBIN.

12 CHAIRMAN THOMAS: ANY OTHER COMMENTS ABOUT
13 THE BUDGET AS PRESENTED BY DR. MILLAN?

14 DR. MARTIN: I HAVE A SIMPLE QUESTION.
15 THE EDUCATIONAL BUDGET, IS THAT EDUCATION OF THE TWO
16 PROGRAMS YOU JUST DESCRIBED FOR HIGH SCHOOL AND
17 COLLEGE?

18 CHAIRMAN THOMAS: YES.

19 DR. MILLAN: AND ALPHA CLINICS. WE HAVE
20 AN ANNUAL SYMPOSIUM THAT THE ALPHA CLINICS HOSTS
21 EVERY YEAR.

22 DR. MARTIN: JUST A COMMENT ON THOSE
23 EDUCATIONAL PROGRAMS. ONE OF THE WAYS TO CONVINC
24 THE POPULATION, THE ADULTS THAT VOTE, THAT MANY
25 YEARS AGO THAT BIOTECHNOLOGY WASN'T DANGEROUS AND

BETH C. DRAIN, CA CSR NO. 7152

1 THEY SHOULD NOT BE AFRAID OF IT, WE AT GENENTECH
2 FUNDED PROGRAMS FOR HIGH SCHOOLS TO ACTUALLY SUPPORT
3 LABORATORY ACTIVITY FOR HIGH SCHOOL STUDENTS.

4 AND THE IDEA WAS THAT WHEN THEIR PARENTS
5 WERE LISTENING TO NEGATIVE THINGS IN THE MEDIA ABOUT
6 FRANKENSTEIN SCIENCE, ET CETERA, THESE KIDS COULD
7 SPEAK UP AND SAY, WAIT A MINUTE. I DID THAT TODAY.
8 IT'S NOT SCARY. I KNOW ABOUT IT. AND THAT WAS AN
9 EARLY ATTEMPT AT GENENTECH, AND I PAID FOR IT OUT OF
10 AN R&D BUDGET. WE STARTED WITH SAN FRANCISCO STATE
11 TRAINING, ET CETERA, OF HIGH SCHOOL TEACHERS, AND
12 THEN WE HAD VANS THAT WENT AROUND TO THE HIGH
13 SCHOOLS WHERE THE TEACHERS HAD BEEN TRAINED. AND IT
14 HAD AN ENORMOUS IMPACT THAT WAS DIFFICULT TO
15 MEASURE. BUT I THINK THIS IS ANOTHER SITUATION IN
16 WHICH, WITHOUT VIOLATING THE ISSUE OF PROMOTING
17 SOMETHING, IF WE ARE EDUCATING THE YOUNGSTERS ABOUT
18 THE VALUE OF STEM CELLS, I THINK IT IS PART OF THAT
19 MOMENTUM THAT WE REALLY NEED TO GAIN WITHIN THE
20 POPULATION. AND SO I WOULD CERTAINLY ENCOURAGE
21 PUSHING VERY HARD THOSE PROGRAMS FOR THAT PURPOSE,
22 IF NONE OTHER.

23 CHAIRMAN THOMAS: THANK YOU, DR. MARTIN.
24 I COMPLETELY AGREE WITH YOU. SENATOR TORRES WAS
25 INSTRUMENTAL IN PARTICULARLY THE BRIDGES PROGRAM WAY

BETH C. DRAIN, CA CSR NO. 7152

1 BACK WHEN. AND I THINK THERE'S VERY LITTLE WE CAN
2 DO THAT'S MORE VALUABLE THAN EDUCATING. SO THANK
3 YOU FOR YOUR COMMENTS. AND DR. SAMBRANO.

4 MR. TORRES: AND DR. OLSON.

5 CHAIRMAN THOMAS: BASICALLY EVERYBODY.
6 CONGRATULATIONS TO EVERYBODY.

7 SO DO WE HEAR A MOTION TO APPROVE THE
8 BUDGET?

9 MR. TORRES: MOVE IT.

10 DR. BURTIS: SECOND.

11 MR. TOCHER: IF I COULD JUST PROVIDE A BIT
12 OF DETAIL TO YOUR MOTION, SENATOR. THERE'S SORT OF
13 THREE PARTS ENCOMPASSED IN THIS.

14 MR. TORRES: THAT WAS PART OF THE MOTION.

15 CHAIRMAN THOMAS: MR. JUELSGAARD WILL
16 APPROVE OF SOMETHING WITH THREE PARTS.

17 MR. TORRES: TO A CERTAIN LIMIT.

18 MR. TOCHER: THE MOTION IS TO APPROVE THE
19 BUDGET AS PROPOSED, TO CONDITIONALLY ALLOCATE UP TO
20 \$8 MILLION FROM THE CLIN1 AND 2 PORTION OF THE
21 BUDGET TO MAKE THAT AVAILABLE TO THE APPLICATION
22 REVIEW SUBCOMMITTEE TO CONSIDER FOR PENDING QUEST
23 APPLICATIONS. AND, THIRD, TO BACKFILL ANY AMOUNT
24 THAT'S USED FROM THE 2019 RECOVERY OF FUNDS BACK
25 INTO THE CLIN1 AND 2 BUDGET.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. TORRES: THAT'S EXACTLY WHAT I MEANT.

2 MR. TOCHER: THANK YOU.

3 CHAIRMAN THOMAS: VERY ELOQUENTLY SPOKEN,
4 MR. TOCHER.

5 YES. THE MOTION THAT CAME OUT OF THE
6 SCIENCE SUBCOMMITTEE WAS ABOUT TWO PAGES LONG. SO
7 HE DISTILLED IT NICELY INTO CONCISE PROSE.

8 DISCUSSION ON THE MOTION BY MEMBERS OF THE
9 BOARD? DISCUSSION, MEMBERS, EITHER IN THE ROOM OR
10 ON THE PHONE? HEARING NONE, MARIA, WILL YOU PLEASE
11 CALL THE ROLL? EXCUSE ME. PUBLIC COMMENT. MY
12 FAULT. MY BAD. DON REED.

13 MR. REED: I JUST WANT TO MAKE SURE I
14 UNDERSTAND. DOES THAT MEAN THAT THERE WERE FIVE
15 OTHER PROJECTS THAT WERE SUGGESTED IN THE DISCOVERY,
16 THAT THEIR FUNDING IS BEING INCLUDED IN THIS?

17 MR. TOCHER: NO.

18 CHAIRMAN THOMAS: WELL, IT IS TEEING THAT
19 UP. THE ACTUAL VOTE ON THOSE PROJECTS IS LATER IN
20 THE AGENDA.

21 MR. REED: THANK YOU.

22 CHAIRMAN THOMAS: OTHER PUBLIC COMMENTS
23 ON THE BUDGET? OKAY. MARIA, WILL YOU PLEASE CALL
24 THE ROLL.

25 MS. BONNEVILLE: AND FOR REFERENCE, THIS

BETH C. DRAIN, CA CSR NO. 7152

1 IS AGENDA ITEM NO. 8.
2 GEORGE BLUMENTHAL.
3 DR. BLUMENTHAL: YES.
4 MS. BONNEVILLE: KEN BURTIS.
5 DR. BURTIS: YES.
6 MS. BONNEVILLE: DEBORAH DEAS. DAVID
7 BRENNER. ANNE-MARIE DULIEGE.
8 DR. DULIEGE: YES.
9 MS. BONNEVILLE: JUDY GASSON.
10 DR. GASSON: YES.
11 MS. BONNEVILLE: DAVID HIGGINS.
12 DR. HIGGINS: YES.
13 MS. BONNEVILLE: STEPHEN JUELSGAARD.
14 MR. JUELSGAARD: YES.
15 MS. BONNEVILLE: LINDA MALKAS.
16 DR. MALKAS: YES.
17 MS. BONNEVILLE: BERT LUBIN.
18 DR. LUBIN: YES.
19 MS. BONNEVILLE: DAVE MARTIN.
20 DR. MARTIN: YES.
21 MS. BONNEVILLE: LAUREN MILLER.
22 MS. MILLER: YES.
23 MS. BONNEVILLE: ADRIANA PADILLA.
24 DR. PADILLA: YES.
25 MS. BONNEVILLE: JOE PANETTA. FRANCISCO

BETH C. DRAIN, CA CSR NO. 7152

1 PRIETO.
2 DR. PRIETO: AYE.
3 MS. BONNEVILLE: ROBERT QUINT. AL
4 ROWLETT.
5 MR. ROWLETT: YES.
6 MS. BONNEVILLE: SUZANNE SANDMEYER.
7 DR. SANDMEYER: YES.
8 MS. BONNEVILLE: JEFF SHEEHY.
9 MR. SHEEHY: YES.
10 MS. BONNEVILLE: OSWALD STEWARD.
11 DR. STEWARD: YES.
12 MS. BONNEVILLE: JONATHAN THOMAS.
13 CHAIRMAN THOMAS: YES.
14 MS. BONNEVILLE: ART TORRES.
15 MR. TORRES: AYE.
16 MS. BONNEVILLE: DIANE WINOKUR.
17 MS. WINOKUR: YES.
18 MS. BONNEVILLE: MOTION CARRIES.
19 CHAIRMAN THOMAS: THANK YOU, MARIA. ON
20 TO ITEM NO. 9, UPDATE -- WE'VE ALREADY HEARD A BIT
21 ABOUT THIS, BUT, MARIA, DO YOU WANT TO TALK MORE --
22 UPDATE ON THE FUNDING PROGRAM WITH NHLBI AND CIRM RE
23 SICKLE CELL?
24 DR. MILLAN: I JUST WANT TO INTRODUCE GABE
25 THOMPSON, WHO WILL BE DESCRIBING THE MOU WITH THE

BETH C. DRAIN, CA CSR NO. 7152

1 NHLBI. JUST BY WAY OF BACKGROUND, SICKLE CELL
2 DISEASE AFFECTS APPROXIMATELY 100,000 AMERICANS, BUT
3 IT AFFECTS MILLIONS WORLDWIDE. SO ALTHOUGH IT'S A
4 RARE DISEASE IN THE U.S., IT'S NOT SO RARE IN OTHER
5 PARTS OF THE WORLD. IT'S VERY IMPORTANT.

6 ANOTHER THING ABOUT IT IS THAT IT AFFLICTS
7 THE YOUNG. IT LEADS TO EXTENSIVE HOSPITALIZATION
8 AND MORBIDITY AND RESULTS IN EARLY DEATH AND WITH
9 LIFE SPANS IN THE 40S TO 50S. SO THIS IS A VERY
10 IMPORTANT DISEASE TO GO AFTER, AND WE'RE VERY
11 PLEASED FOR THE OPPORTUNITY TO DO SO. GABE
12 THOMPSON.

13 MR. THOMPSON: MEMBERS OF THE BOARD, CIRM
14 TEAM, AND MEMBERS OF THE PUBLIC, I'M GABRIEL
15 THOMPSON, VICE PRESIDENT OF GRANTS AND OPERATIONS AT
16 CIRM. AS MARIA MENTIONED, I'M GOING TO BRIEFLY
17 DESCRIBE THE COFUNDING INITIATIVE THAT WE HAVE WITH
18 NHLBI FOR THE CURE OF SICKLE CELL PROGRAM.

19 SO AS YOU MAY HAVE KNOWN, WE SIGNED AN MOU
20 AT THE END OF JUNE WITH THE NHLBI THAT OUTLINES THE
21 COFUNDING INITIATIVE WHOSE PURPOSE IS REALLY TO
22 ACCELERATE THE IMPLEMENTATION OF ACCESSIBLE CURES
23 FOR SICKLE CELL DISEASE WITHIN FIVE TO TEN YEARS.

24 SO HIGHLIGHTING ACCELERATE THERE BECAUSE
25 THAT REALLY CREATES THE MOTIVATION THAT NHLBI HAD TO

BETH C. DRAIN, CA CSR NO. 7152

1 PARTNER WITH US. AND SO THERE'S AN ALIGNMENT OF
2 PURPOSE HERE.

3 JUST A FEW HIGHLIGHTS OF THE PROGRAM. SO
4 WHAT NHLBI WILL BE DOING IS PROVIDING CIRM FUNDS IN
5 OUR CLINICAL STAGE PROGRAM. SO THAT INCLUDES THE
6 CLIN1 IND-ENABLING PROJECTS, THE CLIN2 CLINICAL
7 TRIAL PROJECTS, AND THE CLIN3 ACCELERATING
8 SUPPLEMENTAL ACTIVITY PROGRAM.

9 SO UNLIKE SOME OTHER COFUNDING INITIATIVES
10 THIS AGENCY HAS HAD IN THE PAST, THIS ONE IS UNIQUE
11 IN THAT THE FUNDS WILL BE PROVIDED TO CIRM AND THE
12 APPLICANTS WILL HAVE ONE PLACE TO GO. THEY WILL
13 APPLY TO CIRM VIA CIRM'S APPLICATION PROCESS AND
14 UNDER OUR PROGRAM ANNOUNCEMENTS. THE APPLICATION
15 WILL GO UNDER ONE SCIENTIFIC REVIEW, WHICH IS OUR
16 GRANTS WORKING GROUP. AND THEN IF THE APPLICATION
17 IS SUCCESSFUL, IT WOULD BE MANAGED BY CIRM, ONE
18 AWARD TO BE MANAGED BY CIRM WITH US SHARING
19 INFORMATION AND MONITORING OF THOSE PROJECTS WITH
20 THE NHLBI.

21 SO A LOT OF BENEFITS TO THE APPLICANT WHO
22 DOESN'T HAVE TO APPLY TO TWO DIFFERENT AGENCIES, GO
23 UNDER TWO DIFFERENT SCIENTIFIC REVIEWS. OBVIOUSLY
24 IT PROVIDES LEVERAGED FUNDING FOR THIS AGENCY SO
25 THAT WE CAN FUND MORE PROJECTS THAN WE COULD IF WE

BETH C. DRAIN, CA CSR NO. 7152

1 WERE FUNDING ALONE. AND THEN THERE IS A DATA
2 SHARING ELEMENT AS WELL IN THE INITIATIVE THAT IS
3 BEING WORKED OUT THAT WILL HELP ACTUALLY MOVE THIS
4 WHOLE FIELD FORWARD.

5 SO A COUPLE CHANGES THAT WE NEED TO MAKE
6 IN ORDER TO SUPPORT THIS INITIATIVE. SO I WANT TO
7 FIRST SAY THAT WE ATTEMPTED TO TRY TO KEEP THIS AS
8 SIMPLE AS POSSIBLE AND TO MAKE AS LITTLE CHANGES TO
9 OUR CLINICAL PROGRAM TO SUPPORT THIS INITIATIVE, BUT
10 HERE ARE THE FEW ITEMS WE DO WANT TO CHANGE THAT
11 WILL ALSO BE HIGHLIGHTED BY MY COLLEAGUE GIL
12 SAMBRANO LATER IN THE CONCEPT PLAN CHANGES.

13 BUT TO GO OVER THESE, WE OBVIOUSLY HAVE TO
14 INFORM APPLICANTS THAT THEIR APPLICATION MATERIALS
15 WILL BE SHARED WITH NHLBI REPRESENTATIVES. THE
16 COFUNDED AWARDEES ARE GOING TO BE REQUIRED TO COMPLY
17 WITH NHLBI'S DATA SAFETY AND MONITORING AS WELL AS
18 THEIR DATA SHARING POLICIES.

19 THIS INITIATIVE IS UNIQUE IN THAT IT ALSO
20 WILL ALLOW NON-CALIFORNIA ORGANIZATIONS TO APPLY WHO
21 ARE REQUESTING THEIR CIRM UNALLOWABLE COSTS TO BE
22 COVERED BY NHLBI FUNDS. NORMALLY WE WOULD ASK THOSE
23 FOLKS TO COME WITH THEIR OWN FUNDING TO COVER THE
24 THINGS CIRM CAN'T FUND; BUT UNDER THIS INITIATIVE,
25 BECAUSE WE HAVE THE NHLBI DOLLARS, WE WILL ALLOW

BETH C. DRAIN, CA CSR NO. 7152

1 THESE FOLKS TO KIND OF CONCURRENTLY APPLY THOSE
2 NHLBI FUNDS TO THE THINGS CIRM CAN'T FUND.

3 AND THEN FINALLY, WE WILL REQUIRE ALL
4 SICKLE CELL APPLICATIONS TO SUBMIT UNDER THIS
5 REVISED PROGRAM.

6 AS FAR AS THE PROCESS, SO, AGAIN, WE TRY
7 TO MAKE SURE THAT WE DIDN'T CHANGE OUR PROCESS TOO
8 MUCH. HERE, AND GIVEN THAT IT IS AN ACCELERATING
9 PROCESS, AND WE ARE GOING TO MAINTAIN THE PROCESSING
10 TIMES TO PROCESS CLIN APPLICATIONS IN AS LITTLE AS
11 80 TO 180 DAYS, APPLICATIONS COME IN UNDER THE
12 PROGRAM AND UNDERGO THE NORMAL ELIGIBILITY CHECK IN
13 THE FIRST MONTH, AND NHLBI WILL BE GIVEN ACCESS TO
14 THOSE APPLICATIONS VIA OUR GRANTS MANAGEMENT SYSTEM.
15 IF THE APPLICATIONS PASS ELIGIBILITY, THEY'LL GO TO
16 THE GWG PEER REVIEW GROUP, IN WHICH NHLBI CAN
17 PARTICIPATE IN THAT MEETING, AND THEN, MOST
18 IMPORTANTLY, THE ONLY NEW STEP HERE WOULD BE THAT
19 NHLBI WITHIN TEN DAYS OF OUR GRANTS WORKING GROUP
20 WOULD MAKE A FUNDING DECISION THAT INCLUDED WHETHER
21 THEY WANT TO FUND AND AT WHAT LEVEL WITHIN TEN DAYS
22 OF THE GRANTS WORKING GROUP. SO THAT DECISION,
23 ALONG WITH THE RECOMMENDATIONS FROM THE GRANTS
24 WORKING GROUP, WILL COME TO THIS BOARD OR THE
25 APPLICATION REVIEW SUBCOMMITTEE TO MAKE A FINAL

BETH C. DRAIN, CA CSR NO. 7152

1 FUNDING DECISION.

2 AND SO WE THINK WE CAN MAINTAIN OUR
3 PROCESSING TIMES AND STILL KEEP THE TRAINS MOVING.

4 FINALLY, ON THE AWARD MANAGEMENT SIDE,
5 JUST A COUPLE THINGS TO MENTION IS THE NHLBI FUNDS
6 ARE COMING TO CIRM VIA WHAT WE'RE LEARNING IS CALLED
7 THE OTHER TRANSACTIONAL AUTHORITY IN FEDERAL
8 GOVERNMENT PARLANCE WHICH ALLOWS THE FUNDS TO COME
9 TO US WITHOUT THE NORMAL NIH GRANTS POLICY
10 REQUIREMENTS AND REGULATIONS, AND SO THE FUNDS WILL
11 GENERALLY BE REGULATED BY CIRM REGULATIONS.

12 CIRM WILL ISSUE A SINGLE NOTICE OF AWARD
13 FOR BOTH THE CIRM AND NHLBI FUNDS, WILL UNDERGO OUR
14 NORMAL PROGRESS AND FINANCIAL REPORTING
15 REQUIREMENTS, AND SHARE THAT INFORMATION WITH NHLBI
16 AGAIN VIA OUR GRANTS MANAGEMENT SYSTEM. NHLBI
17 REPRESENTATIVES WILL BE APPOINTED TO THE CLINICAL
18 ADVISORY PANELS THAT CIRM SETS UP IN ORDER TO HELP
19 US WITH EXPERTISE AND HELP PROJECTS DEALING WITH
20 BOTTLENECKS AND HELPING THEM MOVE FORWARD. AND
21 THEN, IMPORTANTLY, CIRM RETAINS THE ABILITY TO
22 SUSPEND OR TERMINATE THE AWARD. WE WOULD OBVIOUSLY
23 WORK WITH NHLBI IF WE NEEDED TO GO DOWN THAT ROAD,
24 BUT CIRM WILL RETAIN THAT ABILITY.

25 AND SO THAT KIND OF PRESENTS THE OVERVIEW.

BETH C. DRAIN, CA CSR NO. 7152

1 WE ARE WORKING TOWARD BRINGING THIS PROGRAM ONLINE
2 BY THE END OF NOVEMBER AND HOPEFULLY STARTING TO
3 ACCEPT APPLICATIONS STARTING DECEMBER 31ST. SO THAT
4 IS MY OVERVIEW. SO I'LL TAKE ANY QUESTIONS YOU
5 HAVE.

6 DR. LUBIN: SO I WANT TO CONGRATULATE YOU
7 AGAIN, MARIA, IN GETTING THIS TOGETHER. I DON'T
8 KNOW THAT THE NIH HAS EVER DONE THIS BEFORE. I
9 SUSPECT THIS IS UNIQUE, AND HOPEFULLY WILL GET A LOT
10 OF PR DISCUSSIONS RELATED TO THIS.

11 SO ONE OF THE THINGS THAT'S UNIQUE ABOUT
12 CALIFORNIA IS WE STARTED NEWBORN SCREENING FOR
13 SICKLE CELL IN THE STATE OF CALIFORNIA. WE ACTUALLY
14 STARTED AT THE BATES HOSPITAL. AND THAT NOW IS
15 THROUGHOUT THE UNITED STATES. SO WE KNOW AT THE
16 BIRTH OF A CHILD WHETHER THEY HAVE SICKLE CELL. AND
17 IF YOU'RE GOING TO DO A STEM CELL THERAPY OR A GENE
18 THERAPY, DOING IT ON A SMALL CHILD ACTUALLY HAS
19 BETTER OUTCOME THAN WAITING TILL LATER, TILL
20 COMPLICATIONS ALREADY START. SO THAT'S ANOTHER
21 INCENTIVE TO SORT OF KEEP IN MIND.

22 I'M CURIOUS HOW MANY APPLICATIONS YOU
23 THINK YOU'RE GOING TO GET A YEAR OR SEVERAL MONTHS,
24 OR IF IT'S EVERYONE IN THE UNITED STATES IS APPLYING
25 TO NIH? ARE YOU ANTICIPATING HUNDREDS? ARE YOU

BETH C. DRAIN, CA CSR NO. 7152

1 ANTICIPATING TEN?

2 DR. MILLAN: SO WHAT WE -- AS PART OF THIS
3 EXERCISE, THE TEAM WENT THROUGH AN EXERCISE WITH THE
4 NHLBI IN TERMS OF JUST CHARTING OUT WHO WE KNOW ARE
5 PROSPECTS BASED ON WHO'S ALREADY IN OUR PIPELINE AND
6 POTENTIAL --

7 DR. LUBIN: THAT'S FOR CALIFORNIA.

8 DR. MILLAN: NO, JUST IN GENERAL. THERE
9 ARE NOT A HUGE AMOUNT OF FOLKS OUT THERE, BUT WE
10 BELIEVE THAT IN TERMS OF THOSE WHO MAY BE READY TO
11 COME IN FOR THE CLINICAL STAGE PROGRAMS,
12 APPROXIMATELY SIX OR SO IN THE NEAR TERM. SO WHEN
13 WE PROPOSE THIS BUDGET SET ASIDE, IT IS IN
14 ANTICIPATION OF THAT SHARING THE COST FOR
15 APPROXIMATELY SIX PROGRAMS OVER THE SPAN OF A YEAR,
16 YEAR AND A HALF, OR TWO.

17 IT'S POSSIBLE THAT THERE MAY BE MORE THAT
18 START TO COME OUT OF THE WOODWORK ONCE WE GET THIS
19 ROLLING, BUT WE BELIEVE WE HAVE CAPTURED THE VISIBLE
20 ONES. AND THESE ARE THE ONES WHERE WE'VE ALREADY
21 HAD DISCUSSIONS WITH THEM, HAVE ALREADY BEEN WORKING
22 WITH THEM EITHER BECAUSE THEY'RE ACTIVE GRANTEES
23 READY TO MOVE TO THE NEXT STAGE, OR THEY'RE
24 INTERESTED PARTIES WHO SEE A VALUE IN PARTNERING
25 WITH CIRM AND NOW WITH CIRM AND THE NIH.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. LUBIN: I WAS THINKING OF PEOPLE THAT
2 ARE NOT IN CALIFORNIA THAT ARE DOING STEM CELL
3 RESEARCH RELATED TO SICKLE CELL. DOES THAT INCLUDE
4 THAT?

5 DR. MILLAN: THAT INCLUDES THAT. THERE
6 MAY BE MORE, BUT WE WERE JUST LOOKING AT NEAR TERM
7 BECAUSE WE WANTED TO MAKE SURE THAT WHAT WE DID IS
8 PLAN TO HAVE A BUDGET IN PLACE SO THAT ONCE THEY
9 COME IN, WE'RE READY TO GO BECAUSE THAT'S THE WHOLE
10 POINT OF ACCELERATION. AND IT WOULD BE A SHAME TO
11 HOLD THINGS UP AND HAVE PEOPLE PLAN FOR IT AND NOT
12 HAVE IT READY TO GO.

13 DR. LUBIN: THAT'S WONDERFUL. THE OTHER
14 THING IS THE NIH, AS YOU KNOW, MANY OF US KNOW,
15 SENDS OUT ANNOUNCEMENTS OF NEW PROGRAMS, OF NEW
16 ACTIVITIES. DID THEY PREPARE A PUBLIC DOCUMENT
17 THAT'S GOING TO GO OUT ABOUT THIS NEW RELATIONSHIP
18 TO ALL INVESTIGATORS INTERESTED IN THIS AREA AND NOT
19 TO WORRY THAT IT'S GOING TO SLOW THINGS DOWN. IN
20 FACT, IT'S GOING TO MAKE THINGS FASTER. I THINK
21 IT'S A GREAT OPPORTUNITY EVEN WITH OUR -- DON'T WANT
22 TO USE THE WORD "CAMPAIGN," BUT REALLY EVEN AS PART
23 OF THAT. I THINK IT'S A GREAT OPPORTUNITY.

24 DR. MILLAN: OUR COMMUNICATIONS GROUP HAD
25 WORKED WITH NIH ON A JOINT PRESS RELEASE. IN

BETH C. DRAIN, CA CSR NO. 7152

1 ADDITION, OUR TEAMS WILL WORK TOGETHER IN TERMS OF
2 COORDINATING THE PROGRAM ANNOUNCEMENT SO THAT THEY
3 CAN SEAMLESSLY BE DIVERTED INTO THIS PROGRAM
4 ANNOUNCEMENT IF THEY'RE CELL AND GENE THERAPY
5 BECAUSE THE CURE SICKLE CELL PROGRAM ENCOMPASSES
6 OTHER APPROACHES AS WELL, BUT WE ARE THE SPECIALTY
7 SHOP FOR CELL/GENE THERAPY. SO WHAT WE'RE WORKING
8 WITH THEM ON IS STREAMLINING THAT TO HANDLE IT
9 THROUGH THIS MECHANISM.

10 DR. LUBIN: NICE FOR THE BOARD. IT WOULD
11 BE GREAT IF THE BOARD GETS A CHANCE TO SEE WHATEVER
12 THAT PR DOCUMENT IS.

13 DR. MILLAN: WE'LL DEFINITELY SEND IT ALL
14 AROUND.

15 DR. LUBIN: THANKS.

16 DR. PRIETO: YES, I HAD A QUESTION. IF
17 THE FEDERAL GOVERNMENT WERE TO SUCCEED IN IMPOSING
18 NEW RESTRICTIONS ON STEM CELL RESEARCH FUNDING OR
19 CUTS TO NIH FUNDING, COULD THAT IMPACT THIS
20 INITIATIVE?

21 MR. THOMPSON: I DON'T KNOW THE ANSWER TO
22 THAT. WE HAVE ASKED THEM IF THOSE THINGS WOULD --
23 ANY KIND OF DECISION LIKE THAT WOULD IMPACT THIS
24 PROGRAM, AND WE HAVEN'T BEEN TOLD THAT IT WOULD.
25 BUT OBVIOUSLY IT DEPENDS ON WHAT WOULD HAPPEN IF

BETH C. DRAIN, CA CSR NO. 7152

1 THERE'S NEW LEGISLATION.

2 DR. MILLAN: WE HAD CONVERSATIONS ABOUT
3 THE BUDGET. THIS IS A FIVE-YEAR MOU. THEIR BUDGET,
4 THE NHLBI BUDGET IS A LITTLE BIT OVER \$3 BILLION FOR
5 THIS. AND I THINK ONE OF THE ADVANTAGES OF THE
6 OTHER TRANSACTION AUTHORITY IS THE WAY THEY CAN
7 BUDGET AND MAKE SURE THAT THIS IS SOMETHING THAT
8 WILL BE ACCESSIBLE FOR THIS INITIATIVE. BUT WE
9 DON'T HAVE ANY INDICATIONS FROM THEM THAT THEY THINK
10 THIS IS GOING TO BE AT RISK GIVEN THE TIME FRAME
11 THAT WE HAVE SET OUT AND GIVEN THE BUDGET THAT THEY
12 HAVE ACCESS TO. SO...

13 THERE'S NEVER ANY GUARANTEES. SOMEBODY
14 COULD JUST CUT OFF ALL FUNDING TOMORROW. BUT SHORT
15 OF THAT, I THINK THEY FEEL PRETTY CONFIDENT THAT
16 THEY'LL BE ABLE TO EXECUTE ON THIS.

17 CHAIRMAN THOMAS: I WOULD ADD TO THAT. I
18 BELIEVE THE SUGGESTIONS THAT HAVE BEEN FLOATED AS TO
19 WHAT SORT OF MEASURES THE ADMINISTRATION WOULD TAKE
20 WITH RESPECT TO NIH MAY NOT IMPACT THE SUBJECT
21 MATTER OF THIS PARTICULAR TYPE OF RESEARCH. I THINK
22 IT WOULD BE LIMITED, ALTHOUGH WHO KNOWS. AS DR.
23 MILLAN SAYS, YOU JUST DON'T KNOW WHAT'S GOING TO
24 HAPPEN.

25 DR. DULIEGE: WHAT WOULD YOU ANTICIPATE

BETH C. DRAIN, CA CSR NO. 7152

1 WILL BE THE AMOUNT OF COFUNDING THAT WILL COME FROM
2 THE NIH AS PART OF THIS PROGRAM?

3 DR. MILLAN: SO IN ROUGH FIGURES, WHAT
4 WE'RE HOPING FOR IS THEY SUPPORT CLOSE TO AT LEAST
5 HALF OF WHAT THE CIRM EXPOSURE WOULD BE. AND WHAT
6 WE'RE WORKING ON RIGHT NOW IS KIND OF FINALIZING
7 WHAT THOSE CONTRACTS WILL LOOK LIKE. SO THEY LOOKED
8 AT THAT AND THOUGHT IT WAS REASONABLE. BUT, OF
9 COURSE, THEY COULDN'T REALLY -- WE'RE WORKING A
10 PRETTY SHORT TIME FRAME HERE. THEY COULDN'T REALLY
11 COMMIT TO A DOLLAR AMOUNT AT THIS POINT, BUT THE
12 SPIRIT OF IT IS THAT THEY KNOW THEY'LL SHARE WITH
13 THE CIRM COSTS; BUT, IN ADDITION, THEY WOULD ALSO
14 PROBABLY COVER SOME OF THE APPLICANT'S ADDITIONAL
15 COSTS THAT CIRM WOULDN'T FUND. SO, FOR INSTANCE,
16 THEIR EXPOSURE COULD BE A LOT HIGHER FOR
17 NON-CALIFORNIA APPLICANTS BECAUSE THEY'D SHARE IN
18 OUR COSTS, WHICH FOR THE CALIFORNIA APPLICANTS WOULD
19 BE MORE, BUT THEN IN ADDITION, THEY'D COVER THE
20 NON-CALIFORNIA COSTS.

21 DR. MALKAS: MARIA, ONE OTHER QUESTION.
22 THE PROGRAM HERE IS VERY MILESTONE DRIVEN, AND
23 YOU'RE NOT AFRAID TO PULL BACK FUNDS. IS THAT GOING
24 TO BE INCORPORATED INTO THAT PROGRAM, INTO THIS
25 PROGRAM AS WELL?

BETH C. DRAIN, CA CSR NO. 7152

1 MR. THOMPSON: YES, ABSOLUTELY.

2 DR. MILLAN: IN FACT, THE WHOLE SYSTEM,
3 THE WHOLE FUNDING MODEL IS SOMETHING THAT ATTRACTED
4 THEM. AND ALSO, BY THE WAY, JUST KUDOS TO OUR I.T.
5 DEPARTMENT, GMS, THE GRANT MANAGEMENT SYSTEM. THEY
6 SAID IT WOULD TAKE THEM TEN YEARS TO BUILD SOMETHING
7 LIKE THAT TO EVEN GET THIS STARTED TO SUPPORT SUCH
8 AN ACCELERATION MODEL. AND MEANWHILE WE HAVE A
9 FIVE- TO TEN-YEAR CURE INITIATIVE. THAT WAS ANOTHER
10 KIND OF TECHNICAL ASPECT. AND JUST THE WAY THAT
11 SCOTT TOCHER, GABE, AND GIL WERE THERE IN TERMS OF
12 HOW THEY CAN ACCESS THE INFORMATION AND STILL BE IN
13 COMPLIANCE, THAT WAS VERY ATTRACTIVE TO THEM.

14 DR. JUELSGAARD: A HYPOTHETICAL. SO AS I
15 UNDERSTAND IT, THESE PROGRAMS, PROPOSALS, ALLOW FOR
16 BOTH EX-CALIFORNIA AS WELL AS WITHIN CALIFORNIA
17 PARTICIPATION. IT'S JUST THAT CIRM FUNDING WOULD BE
18 LIMITED TO THE CALIFORNIA ASPECT OF IT. SO WOULD IT
19 BE POSSIBLE, FOR EXAMPLE, TO HAVE A PROJECT IN WHICH
20 80 PERCENT OF THE WORK IS BEING DONE OUTSIDE OF THE
21 STATE OF CALIFORNIA AND 20 PERCENT WITHIN THE STATE
22 AND WE JUST FUND THAT 20 PERCENT? WOULD THAT BE
23 ALLOWABLE? BECAUSE THEN IT GOES TO THE QUESTION OF
24 POST GRANT MANAGEMENT BECAUSE NOW ALL OF A SUDDEN
25 THE POST GRANT MANAGEMENT ASPECT OF IT OUTSIDE THE

BETH C. DRAIN, CA CSR NO. 7152

1 STATE OF CALIFORNIA BEING FUNDED, I GATHER, IN THIS
2 CASE BY THE NHLBI. SO HOW DO WE ENVISION MANAGING
3 SOMETHING WHERE SO MUCH OF THE WORK IS BEING DONE
4 OUTSIDE THE STATE, BUT WE'RE RESPONSIBLE FOR
5 MANAGING IT?

6 DR. MILLAN: WE ACTUALLY HAVE GRANTEES
7 OUTSIDE OF THE STATE EVEN NOW. SO WE WOULD MANAGE
8 IT IN THE SAME WAY, AND THEY WOULD DEFER TO OUR
9 MANAGEMENT OF THIS AND BE IN CONTACT WITH US BECAUSE
10 THE WHOLE -- THEY'RE ACCEPTING THE WHOLE PACKAGE,
11 AND THEY UNDERSTAND, NOT ONLY IS IT THE DUE
12 DILIGENCE OR THEIR REVIEW PROCESS, BUT IT'S OUR
13 MILESTONE-DRIVEN MANAGEMENT OF THE AWARD, OUR
14 CLINICAL ADVISORY PANEL, INFRASTRUCTURE PROGRAMS WE
15 COULD PUT TO IT. THEY ALSO HAVE INFRASTRUCTURE
16 PROGRAMS THAT THEY'D LIKE TO OFFER TO THIS, BUT THEY
17 SEE THE VALUE IN THE WHOLE PACKAGE. SO THE IDEA IS
18 THAT CIRM WOULD TAKE THE LEAD IN MANAGING ANY AWARD
19 REGARDLESS OF PERCENTAGE WITHIN CALIFORNIA.

20 DR. JUELSGAARD: SO JUST TO FOLLOW UP ON
21 THAT, DR. MILLAN. SO IMAGINE THAT 2020 COMES AND
22 GOES AND THERE'S NO ADDITIONAL FUNDING, BUT WE HAVE
23 A FIVE-YEAR COMMITMENT UNDER THIS PROGRAM. WE'VE
24 GOT THEM THE FUNDING ON THE ADMINISTRATIVE SIDE TO
25 HANDLE THAT GRANTS MANAGEMENT OUTSIDE OF THE STATE

BETH C. DRAIN, CA CSR NO. 7152

1 OF CALIFORNIA IN THIS CIRCUMSTANCE THAT'S INCLUDED
2 IN THE FUNDING THAT WE'RE TALKING ABOUT, NOT
3 NECESSARILY THE 30 MILLION?

4 DR. MILLAN: YES. WE'RE NEGOTIATING
5 ADMINISTRATIVE COVERAGE OF ADMINISTRATIVE COSTS
6 ASSOCIATED WITH IMPLEMENTING THIS. AND THEY ARE
7 AWARE OF THE 2020 TIMELINE, AND WE'RE PUTTING IN
8 PLACE PLANS THAT, SHOULD IT EXCEED EVEN OUR
9 ADMINISTRATIVE PLANS BEYOND 2020, THAT THEY WOULD BE
10 ABLE TO PICK IT UP AND CARRY IT FORWARD BEYOND THAT.

11 DR. JUELSGAARD: GREAT. JUST ONE LAST
12 QUESTION. WHAT IS THE EXACT POINT AT WHICH THEY
13 HAVE TO BE IN ORDER FOR US TO CONSIDER THEIR
14 PROGRAM? I KNOW ON THE SLIDE IT SAYS PRE-IND
15 MEETING OR EQUIVALENT. BUT IF YOU HAD TO PICK A
16 POINT, DESCRIBE A POINT A PROGRAM NOW FALLS INTO
17 BEING CONSIDERED AS OPPOSED TO IT'S TOO EARLY TO BE
18 CONSIDERED? WHAT IS THAT POINT?

19 DR. MILLAN: IT'S EQUIVALENT TO OUR CLIN1
20 WHICH IS THAT THEY HAVE HAD A PRE-IND MEETING AND
21 THEY MEET ELIGIBILITY TO COME IN FOR A CLIN1.

22 DR. MARTIN: WHO IS THE SIGNING AUTHORITY
23 ON THIS, MARIA? IS IT THE COUNCIL OR THE DIRECTOR?

24 DR. MILLAN: IT'S GARY GIBBONS, WHO IS THE
25 HEAD OF NHLBI, THE INSTITUTE DIRECTOR.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. SANDMEYER: I'M JUST CURIOUS. IN THE
2 LONG TERM, DOES NIH ENVISION THAT THIS IS A MODEL
3 THAT THEN THEY WOULD ADOPT MORE BROADLY FOR GENE
4 THERAPY TRIALS? OR DO WE IMAGINE THAT WE WOULD
5 EXPAND THIS COOPERATIVE EFFORT WITH THE FEDERAL
6 GOVERNMENT?

7 DR. MILLAN: SO THE GENESIS OF THIS WAS
8 LAST JUNE A TEAM OF US WENT -- FRANCES COLLINS, THE
9 HEAD OF NIH, INVITED US TO COME TO THE NIH TO
10 DESCRIBE HOW WE FUNDED AND MANAGED PROGRAMS. AND WE
11 HAD SUCH AN INTEREST LEVEL, THAT WE MET WITH AT
12 LEAST 13 INSTITUTE HEADS. WE ACTUALLY HAD TO
13 PROLONG OUR TIME THERE IN ORDER TO MEET WITH THEM
14 ALL BECAUSE THEY WERE VERY INTERESTED ESPECIALLY IN
15 THE ARENA OF REGENERATIVE MEDICINE, STEM CELL, AND
16 GENE THERAPY. NHLBI, BECAUSE OF THIS CURE SICKLE
17 CELL, WAS THE FIRST ONE OUT THE GATE. THERE HAVE
18 BEEN OTHER INSTITUTES WHO HAVE EXPRESSED INTEREST
19 AND THINKING ABOUT HOW WE COULD WORK TOGETHER IN A
20 SIMILAR WAY. SO WE VIEW THIS AS AN IMPORTANT
21 PROGRAM ON ITS OWN, BUT ALSO POTENTIALLY A NICE
22 PILOT FOR POTENTIAL COFUNDING OPPORTUNITIES IN THE
23 FUTURE.

24 DR. SANDMEYER: THANK YOU. I'M ALSO
25 ECHOING EARLIER COMMENTS. IT'S EXCITING HOW IT

BETH C. DRAIN, CA CSR NO. 7152

1 COULD EXPAND OUR PROFILE HERE IN CALIFORNIA.

2 DR. MILLAN: THANK YOU.

3 CHAIRMAN THOMAS: ANY OTHER COMMENTS FOR
4 MR. THOMPSON?

5 MR. SHEEHY: JUST A COUPLE QUESTIONS. ONE
6 IS TO CONFIRM. SO THE REVIEW WILL BE SEPARATE FROM
7 THE OTHER REVIEW. SO WE'RE NOT PUTTING -- WE'RE NOT
8 REVIEWING -- SAY, WE DO OUR MONTHLY CLIN REVIEW.
9 THIS WOULDN'T BE IN WITH THE SAME BUCKET OF
10 APPLICATIONS. SO THEY WOULD BE IN A SEPARATE BUCKET
11 PERHAPS WITHIN THE SAME REVIEW, BUT JUST CLARIFYING
12 THAT POINT.

13 DR. MILLAN: I'M GOING TO DEFER TO GIL
14 SAMBRANO. MAYBE HE CAN RESPOND TO IT AT THIS POINT.

15 MR. SHEEHY: THE REASON IS THAT THERE'S
16 ALWAYS SOMETHING COMPETITIVE ABOUT THIS. AND THIS
17 PARTICULAR INITIATIVE, I THINK APPLES SHOULD BE
18 COMPARED TO APPLES AND NOT NECESSARILY BLEND IN WITH
19 APPLES THE OTHER THINGS THAT WE MAY BE DOING SO THAT
20 THAT'S CLEAR TO THE REVIEWERS, THAT WE'RE REALLY
21 FOCUSED ON SICKLE CELL IN THIS PARTICULAR BUCKET.
22 EVEN IF IT'S WITHIN THE SAME REVIEW, IT COULD HAVE
23 PHASE I AND PHASE 2 OR SOMETHING ALONG THOSE LINES.

24 DR. SAMBRANO: SO THE INTENT IS TO HAVE IT
25 WITHIN THE SAME REVIEW. THAT MAKES IT EASY TO JUST

BETH C. DRAIN, CA CSR NO. 7152

1 INCORPORATE INTO OUR 9 A.M. CYCLES PER YEAR. BUT,
2 YES, WE WILL HAVE TO NOTE THE DIFFERENCE IN THIS
3 PROGRAM AND HOW IT IS UNIQUE AND DIFFERENT FROM THE
4 OTHER APPLICATIONS THAT MAY BE CONSIDERED. WE DON'T
5 NECESSARILY EXPECT TO GET ALL SICKLE CELL
6 APPLICATIONS ALL AT ONCE WHERE WE WOULD BE LOOKING
7 AT THEM NECESSARILY TOGETHER. WE MIGHT IN SOME
8 CASES, BUT IN GENERAL THEY'RE GOING TO TRICKLE IN AS
9 THEY ARE READY TO COME IN.

10 MR. SHEEHY: I'M JUST REALLY FOCUSED ON
11 THE POINT OF HAVING CLEAR DELINEATION BECAUSE THERE
12 IS ALWAYS, IN MY EXPERIENCE IN BEING IN THE REVIEWS,
13 AND ONE OF THE THINGS I'VE ALWAYS FOUND FRUSTRATING,
14 BY THE WAY, BECAUSE OUR POLICY HAS ALWAYS BEEN EACH
15 REVIEW -- THE PROJECTS ARE NOT IN COMPETITION WITH
16 EACH OTHER. INEVITABLY THAT'S HOW PEOPLE VIEW THEM.
17 SO FOR THIS PARTICULAR INITIATIVE, IF WE CAN CLEARLY
18 DELINEATE THAT WE HAVE SET ASIDE FUNDS FOR THIS,
19 THAT THIS SHOULD BE REVIEWED SEPARATELY FROM THE
20 OTHER APPLICATIONS, AND SHOULD NOT BE VIEWED IN
21 COMPETITION WITH OTHER APPLICATIONS THAT MAY BE
22 COMING IN.

23 DR. SAMBRANO: WE WILL MAKE AN EFFORT TO
24 DO THAT.

25 MR. SHEEHY: MY OTHER QUESTION IS, SO

BETH C. DRAIN, CA CSR NO. 7152

1 WE'RE ON TRACK, WHAT DID YOU SAY, ABOUT 43 OF THE
2 PROJECTED 50 CLINICAL TRIALS, DOES THAT INCLUDE THIS
3 INITIATIVE, OR WOULD THIS INITIATIVE BE ADDITIVE TO
4 THAT?

5 DR. MILLAN: THAT INCLUDES THIS
6 INITIATIVE.

7 MR. SHEEHY: GREAT. THANK YOU.

8 CHAIRMAN THOMAS: ANY OTHER COMMENTS FOR
9 MR. THOMPSON, DR. SAMBRANO, DR. MILLAN? COMMENTS
10 MEMBERS OF THE PUBLIC?

11 DR. CHIU: ARLENE CHIU FROM THE CITY OF
12 HOPE. I REALLY AM EXCITED ABOUT THIS JOINT
13 INITIATIVE. I THINK IT'S A GROUNDBREAKING EFFORT,
14 AND I CONGRATULATE CIRM FOR PULLING IT OFF.

15 JUST SOME MINOR DETAILS ABOUT HOW THIS IS
16 ROLLED OUT. I HEAR YOU MENTIONED THAT YOU HOPE TO
17 GET APPLICATIONS OR ROLL IT OUT BY THE END OF THE
18 YEAR. THAT MEANS NIH WILL HAVE TO HUSTLE TO GET THE
19 FOA OUT ASAP. AND IF I UNDERSTAND CORRECTLY, YOU
20 WILL MAINTAIN YOUR MONTHLY CLIN ACCEPTANCE SO THAT
21 AS THEY TRICKLE IN, AND ESPECIALLY THOSE THAT ARE
22 FROM OUTSIDE OF CALIFORNIA WHO ARE NOT USED TO THE
23 SYSTEM, THERE MAY BE UPS AND DOWNS IN THE KINDS THAT
24 YOU GET; BUT IF I UNDERSTAND CORRECTLY, THIS
25 ACCEPTANCE OF THESE APPLICATIONS WILL END BY THE END

BETH C. DRAIN, CA CSR NO. 7152

1 OF 2019, OR DO YOU ANTICIPATE MORE PROPOSALS COMING
2 IN IN 2020?

3 DR. MILLAN: THERE'S NO END DATE. WE'RE
4 ASKING FOR THE ALLOCATION NOW SO THAT WE'RE ABLE TO
5 FUND THEM IF THEY HAPPEN TO ALL COME IN IN 2019.
6 IT'S ENTIRELY POSSIBLE THAT SOME OF THOSE THAT WE
7 ANTICIPATE COMING IN WON'T MAKE IT IN UNTIL THE END
8 OF 2019 OR 2020. SO WE'RE NOT PROPOSING AN
9 EXPIRATION FOR THE PROPOSED 30 MILLION SET ASIDE.

10 DR. CHIU: BUT IT WILL END WHEN CIRM
11 SPENDS OR COMMITS THE 30 MILLION. AND YOU'RE
12 COMMITMENT IS LOOKED AT IN A DIFFERENT WAY THAN NIH
13 COMMITMENT. NIH COMMITS JUST WHATEVER THEY SPEND
14 THAT YEAR. SO THEIR COMMITMENT TO YOUR PROGRAM WILL
15 BE SPREAD OUT OVER FIVE YEARS WITH EACH YEAR THEM
16 PAYING IT DOWN; WHEREAS, YOU MAKE A COMMITMENT, YOU
17 LOCK UP THAT FULL AMOUNT. SO YOUR 30 MILLION COULD
18 BE QUITE QUICKLY LOCKED UP IF YOU GET REALLY
19 WONDERFUL PROPOSALS OR IF YOU'RE EXCITED ABOUT THE
20 EARLIER ONES, AND THEN AS OTHERS COME, THEY WILL
21 HAVE LESS OF A CHANCE. AM I UNDERSTANDING THIS
22 CORRECTLY?

23 DR. MILLAN: YES. AND THEN WHEN WE GET --
24 IF WE HAVE THAT GOOD PROBLEM, WHICH WE REALLY -- I
25 THINK THAT WOULD BE A SUCCESS TO HAVE SIX EXCELLENT

BETH C. DRAIN, CA CSR NO. 7152

1 PROGRAMS COME IN AT ONCE. THEN WE'LL HAVE TO FIGURE
2 OUT WHERE ADDITIONAL FUNDS CAN COME FROM. AND,
3 AGAIN, WE WOULD HAVE ACCESS TO THE NIH FOR FUNDING.

4 SO WE'LL HAVE TO SEE WHAT HAPPENS AT THAT
5 POINT. BUT SUFFICE IT TO SAY THAT THEY WILL RELY ON
6 OUR PROGRAMS. SO ONE CAN ENVISION THAT IT COULD BE
7 THAT TOWARD THE END OF THIS THAT THEY WOULD BE THE
8 FUNDING SOURCE FOR THE MAJORITY OF THE PROGRAMS.
9 WE'LL HAVE TO SEE HOW IT GOES.

10 DR. CHIU: FINALLY, OF COURSE, THE
11 DIRECTOR OF NHLBI MAKES ALL FINAL FUNDING DECISIONS
12 AS WITH ANY INSTITUTE AT NIH. BUT OFTENTIMES THEY
13 PRESENT BEFORE COUNCIL AND GETS COUNCIL STAMP OF
14 APPROVAL BEFORE THE DIRECTOR IS ALLOWED TO APPROVE
15 FUNDING. BUT THAT ONLY MEETS THREE TIMES A YEAR.
16 IS THAT GOING TO BE A BIT OF A PROBLEM FOR YOU?

17 DR. MILLAN: UNDER THE OTA ALL THAT WOULD
18 BE REQUIRED TO MAKE THAT 10-DAY TIME FRAME DECISION
19 IS IT WOULD GO TO THE EXECUTIVE COUNCIL WHO WOULD
20 PROPOSE IT TO DR. GIBBONS, AND DR. GIBBONS WOULD
21 MAKE THE FINAL DECISION.

22 DR. CHIU: OKAY. THANK YOU.

23 CHAIRMAN THOMAS: ANY OTHER COMMENTS?

24 OKAY. I WOULD LIKE TO ECHO EVERYTHING,
25 MARIA, GABE, GIL, SCOTT. THIS IS OUTSTANDING, VERY

BETH C. DRAIN, CA CSR NO. 7152

1 GROUNDBREAKING MOVE. AND I DO THINK, FURTHER TO DR.
2 SANDMEYER'S QUESTION, COULD WELL PROVIDE THE
3 TEMPLATE FOR SIMILAR SORTS OF THINGS WITH RESPECT TO
4 OTHER CONDITIONS. AND IT'S A TREMENDOUSLY
5 VALIDATING MOVE BY THE NIH AS FAR AS CIRM IS
6 CONCERNED. I JUST WANT TO CONGRATULATE YOU AND THE
7 TEAM FOR A REALLY EXCELLENT PROGRAM.

8 DR. MILLAN: THANK YOU VERY MUCH.

9 CHAIRMAN THOMAS: SO TO GIVE BETH A BIT OF
10 A BREAK, WE'RE GOING TO TAKE TEN MINUTES HERE, AND
11 WE'LL RESUME PROMPTLY, LOOKS LIKE, 11 O'CLOCK.

12 (A RECESS WAS TAKEN.)

13 CHAIRMAN THOMAS: WE'RE GOING TO RESUME.
14 OKAY. WE ARE GOING TO GO TO ITEM NO. 10,
15 CONSIDERATION OF AMENDMENTS TO THE CONCEPT PLAN FOR
16 TRANSLATION RESEARCH PROGRAMS. DR. SAMBRANO.

17 DR. SAMBRANO: THANK YOU, MR. CHAIRMAN.
18 SO I'M GOING TO PRESENT AN OVERVIEW OF THE CHANGES
19 THAT WE'RE MAKING TO THE CONCEPTS THAT AFFECT BOTH
20 THE TRANSLATIONAL AND CLINICAL PROGRAMS. SO I'LL
21 GIVE YOU A LITTLE BIT OF DETAIL ON SOME OF THESE
22 THINGS.

23 BIG PICTURE IS THAT THESE CONCEPT CHANGES
24 ARE GOING TO REMOVE SMALL MOLECULES AND BIOLOGICS
25 FROM ELIGIBILITY FOR THE TRAN AND CLIN1 PROGRAMS

BETH C. DRAIN, CA CSR NO. 7152

1 EXCEPT FOR THOSE THAT ARE PREVIOUSLY FUNDED AS A
2 PIPELINE PROJECT, MEANING THAT IS A PROGRAM THAT WE
3 HAVE FUNDED BEFORE, AS WELL AS THE SICKLE CELL
4 PROJECTS.

5 WE'RE ALSO ADDING IN VIVO GENE THERAPY TO
6 THESE TWO PROGRAMS AND THEN ADDING REQUIREMENTS THAT
7 GABE THOMPSON REVIEWED RELATED TO THE NHLBI/CIRM
8 CURE SICKLE CELL JOINT INITIATIVE.

9 SO LET ME JUST START WITH THE TRANSLATION
10 PROGRAM AND HOW THE CONCEPT CHANGES AFFECT THIS
11 PROGRAM.

12 SO WHAT YOU'RE SEEING IS AN ILLUSTRATION
13 OF THE TRANSLATION PROGRAM AS IT CURRENTLY EXISTS.
14 THE TRANSLATION PROGRAM ACCEPTS STUDIES THAT HAVE
15 DONE A PROOF OF CONCEPT SUCH AS THROUGH A DISC2
16 PROGRAM. AND SO THE TRAN ALLOWS THE DEVELOPMENT OF
17 PRODUCTS THAT ARE EITHER A THERAPEUTIC, A
18 DIAGNOSTIC, A DEVICE, OR A TOOL. THERE ARE
19 DIFFERENT MAXIMUM TIMES AND MAXIMUM ALLOWABLE COSTS
20 FOR EACH OF THESE DIFFERENT PRODUCT TYPES SINCE THE
21 TRANSLATIONAL DEVELOPMENT DOES DIFFER FOR EACH OF
22 THESE PRODUCTS. THE THERAPEUTIC IS ONE THAT WE GET
23 THE MOST OF. OVER 95 PERCENT OF THE APPLICATIONS
24 THAT WE GET ARE IN THE THERAPEUTIC ARENA. AND SO
25 FOR THOSE, WE TYPICALLY ACCEPT SMALL MOLECULES OR

BETH C. DRAIN, CA CSR NO. 7152

1 BIOLOGICS THAT ACT ON A STEM CELL OR A CELL THERAPY
2 THAT INVOLVES A STEM CELL.

3 THE WAY WE ANTICIPATE CHANGING THIS
4 PROGRAM IS THAT FOR AT LEAST NEXT YEAR, AND THIS IS
5 NOT SPECIFICALLY A CONCEPT CHANGE, BUT OUR INTENT IS
6 TO ONLY ISSUE A SOLICITATION FOR THE TRAN1 PROGRAM.
7 THE CONCEPT CHANGE, HOWEVER, WOULD BE THAT FOR
8 PIPELINE PROJECTS, MEANING THOSE THAT HAVE RECEIVED
9 PREVIOUS CIRM FUNDING, THEY WOULD STILL BE ELIGIBLE
10 UNDER THE CONVENTIONAL ELIGIBILITY CRITERIA, MEANING
11 SMALL MOLECULES AND BIOLOGICS AS WELL AS CELL
12 THERAPY WOULD BE ALLOWED. FOR NEW PROJECTS COMING
13 IN, WE WANTED TO LIMIT THAT TO CELL THERAPY.

14 WE ARE ALSO ADDING THE IN VIVO GENE
15 THERAPY, WHICH I WILL GET TO, BUT THE SCOPE OF NEW
16 PROJECTS WOULD INCLUDE CELL THERAPY OR IN VIVO GENE
17 THERAPY FOR TRAN1.

18 FOR THE CLINICAL PROGRAM, THAT INCLUDES
19 OUR CLIN1, CLIN2, AND CLIN3 PROGRAMS. THIS IMPACTS
20 JUST CLIN1 AND CLIN2 WHICH OFTEN TAKE STUDIES THAT
21 HAVE AT LEAST A CLIN1, ACHIEVED A PRE-IND MEETING
22 AND ARE READY TO START IND-ENABLING ACTIVITIES, OR
23 FOR CLIN2, THOSE THAT ARE READY TO START A CLINICAL
24 TRIAL. SO THERE ARE DIFFERENT TIMELINES AS NOTED,
25 BUT IN GENERAL THEY BOTH HAVE ACCEPTED SMALL

BETH C. DRAIN, CA CSR NO. 7152

1 MOLECULES, BIOLOGICS, CELL THERAPY, AND DEVICES INTO
2 THE PROGRAM.

3 THE WAY THIS WOULD CHANGE IS THAT THE
4 CLIN1 PROGRAM FOR PIPELINE PROJECTS WOULD STAY THE
5 SAME. FOR NEW PROJECTS WE WOULD ACCEPT CELL THERAPY
6 AS WELL AS IN VIVO GENE THERAPY PROJECTS ONLY. FOR
7 THE CLIN2, THE CLINICAL TRIAL PROGRAM, THAT WOULD
8 NOT UNDERGO ANY ADDED RESTRICTIONS, BUT ACTUALLY ADD
9 IN VIVO GENE THERAPY AS A NEW OPTION AVAILABLE TO
10 APPLICANTS.

11 SO THIS ADDITION TO THE PROGRAMS OF IN
12 VIVO GENE THERAPY IS A NEW ELIGIBILITY CRITERIA. SO
13 THE THING I WANT TO NOTE, JUST BECAUSE IT IS
14 DIFFERENT FROM OTHER ELEMENTS, WE HAVE ALWAYS
15 INCLUDED GENE THERAPY IN THE CONTEXT OF A STEM CELL.
16 SO WHEREVER A STEM CELL IS INVOLVED, THAT HAS NOT
17 GENERALLY BEEN AN ISSUE WHETHER IT'S A GENE THERAPY
18 THAT TARGETS A STEM CELL OR IF IT'S, FOR EXAMPLE, A
19 HEMATOPOIETIC STEM CELL THAT HAS BEEN GENE MODIFIED
20 HAS NORMALLY BEEN ELIGIBLE. WHAT WE'RE TALKING
21 ABOUT HERE IS IN VIVO GENE THERAPY PROJECTS THAT DO
22 NOT IMPACT ON A STEM CELL OR DO NOT INVOLVE A STEM
23 CELL IN ANY WAY.

24 AND SO THE WAY WE CAN DO THIS IS PROP 71
25 ALLOWS FOR VITAL RESEARCH OPPORTUNITIES. AND I'M

BETH C. DRAIN, CA CSR NO. 7152

1 JUST GOING TO DESCRIBE WHAT THAT DEFINITION IS. SO
2 IT MEANS A SCIENTIFIC AND MEDICAL RESEARCH
3 TECHNOLOGY AND/OR ANY STEM CELL RESEARCH NOT
4 ACTUALLY FUNDED BY THE INSTITUTE UNDER, AND THEN THE
5 REFERENCE OF WHAT WE NORMALLY FUND, WHICH PROVIDES A
6 SUBSTANTIALLY SUPERIOR RESEARCH OPPORTUNITY VITAL TO
7 ADVANCE MEDICAL SCIENCE AS DETERMINED BY AT LEAST A
8 TWO-THIRDS VOTE OF A QUORUM OF THE MEMBERS OF THE
9 GRANTS WORKING GROUP.

10 SO FOR PROJECTS THAT COME IN UNDER THIS
11 GUISE, WE WILL HAVE THE GRANTS WORKING GROUP TAKE A
12 SPECIFIC VOTE TO ENSURE THAT THEY BELIEVE THAT THIS
13 DOES REPRESENT A VITAL RESEARCH OPPORTUNITY AS
14 DEFINED.

15 AND THEN THE LAST SET OF CHANGES ARE IN
16 SUPPORT OF THE SICKLE CELL DISEASE JOINT INITIATIVE
17 BETWEEN CIRM AND NHLBI, MANY OF THESE ALREADY
18 DESCRIBED BY GABE THOMPSON, CLEARLY THAT ALL SICKLE
19 CELL DISEASE APPLICATIONS WILL BE CONSIDERED FOR
20 JOINT FUNDING, THAT THE SICKLE CELL PROJECTS WILL BE
21 EXEMPT FROM ANY OF THE CLINICAL THERAPEUTIC CANDIDATE
22 RESTRICTIONS, THAT WE WILL SHARE APPLICATION
23 MATERIALS WITH NHLBI, THAT NON-CALIFORNIA APPLICANTS
24 MAY APPLY FOR NHLBI FUNDS TO COVER UNALLOWABLE
25 ACTIVITIES OUTSIDE OF CALIFORNIA, AND, FINALLY, THAT

BETH C. DRAIN, CA CSR NO. 7152

1 COFUNDED PROJECTS MUST ADHERE TO NHLBI POLICIES FOR
2 DATA AND SAFETY MONITORING AS WELL AS DATA SHARING,
3 AND THIS INCLUDES A COORDINATING CENTER FOR SICKLE
4 CELL DATA THAT IS PART OF THE OVERALL PROGRAM THAT
5 IS BEING PUT TOGETHER. SO THOSE ARE THE PROPOSED
6 CHANGES.

7 SO ARE THERE ANY QUESTIONS? WE'RE LOOKING
8 FOR APPROVAL OF THESE CONCEPT CHANGES FOR
9 TRANSLATION AND CLINICAL PROGRAMS.

10 DR. MARTIN: DOES THIS CHANGE THE
11 MESSAGING OF CIRM? IT'S REGENERATIVE MEDICINE, BUT
12 IT'S NOT STEM CELL RELATED DIRECTLY?

13 DR. SAMBRANO: I THINK IT EXPANDS OUR
14 CAPABILITY. SO YES. IT EXPANDS OUR CAPABILITY
15 BEYOND STEM CELLS. BUT THE QUESTION OF WHETHER THAT
16 MAKES SENSE OR NOT REALLY IS A DISCUSSION ITEM MAYBE
17 FOR THIS BOARD.

18 DR. MARTIN: HOW DEPENDENT DO YOU THINK
19 THE SUPPORT AMONG THE POPULATION, LEGISLATION, ET
20 CETERA IS? HOW DEPENDENT IS IT ON THIS STEM CELL
21 CONCEPT? IS THAT CONSIDERED TO BE RESTRICTING,
22 WHICH IS WHERE IT ORIGINATED WHEN THERE WAS A BAN ON
23 USE OF STEM CELLS, HUMAN STEM CELLS. I JUST DON'T
24 KNOW WELL ENOUGH. I'VE ALWAYS THOUGHT ANY
25 APPLICATION HAD TO HAVE THE "S" WORD IN IT SOMEHOW.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. SAMBRANO: I DON'T KNOW THAT I CAN
2 FAIRLY ANSWER THAT QUESTION. I WILL TELL YOU THAT
3 JUST, IN GENERAL, KIND OF BEYOND CIRM, IF YOU LOOK
4 AT THE NIH RMAT DESIGNATIONS, WHICH ARE BROADLY
5 REGENERATIVE MEDICINE SOCIETIES AND INSTITUTES WHICH
6 BROADLY DEFINE REGENERATIVE MEDICINE, TYPICALLY
7 INCLUDE GENE THERAPY AS A COMPONENT OF WHAT THEY
8 SUPPORT. SO IT'S PART OF THAT LARGER PACKAGE.

9 CIRM HAS BEEN UNIQUE AND DIFFERENT. I
10 THINK THE QUESTION IS DOES IT MAKE SENSE FOR CIRM
11 NOW TO EXPAND AS OTHERS HAVE OR AS THE FIELD HAS?

12 MR. SHEEHY: SO I THINK THIS HAS ALWAYS
13 BEEN A LIVING AGENCY, SO TO SPEAK, AND WE'VE EVOLVED
14 WITH THE SCIENCE. WHEN THIS AGENCY WAS FORMED, I
15 THINK PEOPLE MIGHT HAVE SAID YOU ARE OUT OF YOUR
16 MIND TO TALK ABOUT TAKING A SKIN CELL AND TURNING
17 THAT INTO A PLURIPOTENT CELL. SO INDUCED
18 PLURIPOTENT CELLS EXPLODED ON THE SCENE, AND WE
19 ADAPTED TO WORK WITH THOSE CELLS. AND THE AGENCY --
20 I THINK AT THE END OF THE DAY THE REAL MISSION IS TO
21 GET CURES.

22 AND WHEN THIS WAS BROUGHT UP AT THE
23 SCIENCE SUBCOMMITTEE, I WAS A LITTLE AMBIVALENT
24 ABOUT IT. BUT THEN WHEN I STARTED THINKING BACK,
25 WE'VE INVESTED A LOT IN GENE THERAPY. AND GENE

BETH C. DRAIN, CA CSR NO. 7152

1 THERAPY AND REGENERATIVE MEDICINE AND STEM CELLS ALL
2 SEEM TO BE PART OF A BIG POT.

3 AND IT SEEMED TO ME SOMEWHAT IRRATIONAL TO
4 NOT INCLUDE IN SCOPE SCIENCE THAT WE HAD BROUGHT SO
5 FAR DOWN THE ROAD BECAUSE WE HAVE DONE A LOT OF WORK
6 WITH GENETIC MODIFICATION OF STEM CELLS. IT'S ALL
7 BEEN EX VIVO. AND THEN WHEN WE GET TO THE POINT
8 WHERE WE REALLY HAVE WHAT WOULD BE VERY COMMERCIALY
9 VIABLE, VERY SCALABLE, WE SAY, OH, WE CAN'T DO THAT.
10 IT JUST DIDN'T FOLLOW FOR ME. SO HAVING THAT
11 OPPORTUNITY AND THE ABILITY TO REALLY GENERATE VERY
12 SCALABLE, WIDELY AVAILABLE, COMMERCIALY VIABLE
13 CURES THAT WE HAD PLAYED SUCH A ROLE IN DEVELOPING,
14 IT SEEMED TO ME TO BE AN OPPORTUNITY THAT WE WOULD
15 NOT WANT TO MISS.

16 DR. STEWARD: THANKS. I DID MISS THE
17 SCIENCE SUBCOMMITTEE AND I APOLOGIZE FOR THAT. I
18 WOULD HAVE MADE THESE COMMENTS THERE HAD I BEEN ABLE
19 TO ATTEND.

20 SO JUST FOLLOWING UP ON YOUR QUESTION, I
21 THINK A COUPLE OF THINGS. THERE ARE A LOT OF THINGS
22 THAT COULD BE CONSIDERED IN THE SAME DOMAIN AS GENE
23 THERAPY IN TERMS OF BEING POTENTIALLY VERY IMPACTFUL
24 FOR TREATMENTS AND CURES FOR ALL KINDS OF DISEASES
25 AND DISORDERS. THAT'S POINT NO. 1.

BETH C. DRAIN, CA CSR NO. 7152

1 SO WHY GENE THERAPY AND NOT OTHER THINGS
2 IS SORT OF A QUESTION. I DON'T REALLY WANT TO GO
3 INTO AN ANSWER TO THAT, BUT I THINK, AS WE ARE
4 CONSIDERING THIS, IT'S IMPORTANT TO TAKE THOSE KINDS
5 OF CONSIDERATIONS. THIS IS A BIG STEP, AND
6 ESPECIALLY A BIG STEP AS WE'RE COMING INTO A
7 POTENTIAL BALLOT INITIATIVE WHERE THINGS ARE GOING
8 TO BE WHATEVER. SO THAT'S SORT OF NO. 2.

9 AND NO. 3, IN PRINCIPLE, I THINK GENE
10 THERAPY IS GREAT. THE RESEARCH THAT I DO SOMETIMES
11 IS CALLED THAT, SOMETIMES NOT, BUT I THINK THAT TERM
12 HAS VERY BROAD MEANING AND PROBABLY WAY TOO BROAD.
13 AND IF WE WERE TO TAKE THIS STEP, I THINK WE NEED TO
14 BE VERY DEFINITIVE ABOUT WHAT THE DEFINITION IS.

15 IF IT, FOR EXAMPLE, IS TO TARGET
16 MONOGENETIC DISORDERS THAT AFFECT HUNDREDS OF
17 THOUSANDS OF PEOPLE WORLDWIDE, THAT'S ONE
18 DEFINITION. AND I THINK THAT MAY BE FINE. ALL OF
19 THOSE ARE RARE. THE TERM, IN GENERAL, IS WAY TOO
20 AMBIGUOUS, I THINK, AS IT'S PHRASED RIGHT NOW.
21 THOSE ARE MY THREE COMMENTS. THANK YOU.

22 MR. SHEEHY: IF I MIGHT RESPOND. THERE IS
23 A CONTROL POINT, AND I KIND OF FEEL LIKE THE CONTROL
24 POINT THAT WE'VE SET UP IS IN LINE WITH PROP 71 AND
25 PERHAPS THE BEST PLACE FOR THE CONTROL POINT TO BE

BETH C. DRAIN, CA CSR NO. 7152

1 ASSIGNED, WHICH IS AT THE GRANTS WORKING GROUP, THE
2 PEER REVIEW GROUP, IN THAT THAT THEY HAVE TO
3 DESIGNATE BY TWO-THIRDS THAT THIS IS A VITAL
4 RESEARCH OPPORTUNITY.

5 SO I HAVE SOME CONFIDENCE IN THAT. AS YOU
6 KNOW, YOU'VE LISTENED TO AND BEEN PART OF COUNTLESS
7 REVIEWS, THERE IS SOME RELUCTANCE ALWAYS IN THE
8 REVIEW GROUP TO DO THINGS THAT OTHER PEOPLE CAN DO
9 VERY EASILY.

10 SO I GUESS I FEEL LIKE THAT THAT'S
11 ADEQUATE; BUT, OBVIOUSLY, IF YOU HAVE A DIFFERENT
12 VIEW, SOME WAY TO FIGURE OUT A WAY TO TIGHTEN THE
13 NOOSE, BUT I FEEL THE REVIEW GROUP HAS ALWAYS BEEN
14 PRETTY SKEPTICAL ABOUT DOING SOMETHING THAT ANYBODY
15 ELSE COULD DO EASILY OR COMMERCIALY.

16 DR. STEWARD: JUST TO SAY, IF I CAN ADD
17 ONE MORE THING, THAT IS IN FACT SUBSTANTIATED IN
18 PROP 71. IT IS THE STATEMENT THAT STEM CELLS ARE TO
19 BE FUNDED BECAUSE ESSENTIALLY THEY CAN'T BE FUNDED
20 IN ANY OTHER WAY. AND THIS CAN BE FUNDED IN OTHER
21 WAYS. JUST TO SAY, WE'RE CHANGING. I'M NOT SAYING
22 THAT ISN'T A GOOD THING. IT WOULD BE GREAT, IN
23 FACT, TO, IN FACT, REDEFINE REGENERATIVE MEDICINE IN
24 THE NEW BALLOT INITIATIVE, BUT I'M CONCERNED ABOUT
25 DOING IT AT THIS STAGE FOR PROP 71.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. JUELSGAARD: SO LET ME, DR. STEWARD,
2 RESPOND TO THAT BECAUSE ACTUALLY IN THE LANGUAGE OF
3 THE ACTUAL -- GABE MADE A PRESENTATION, BUT THERE'S
4 SOME DEFINITIVE LANGUAGE THAT'S PROVIDED. AND THE
5 LAST PART OF IT, THE LAST GATE TO GET THROUGH, AND
6 THIS IS ACTUALLY GOING TO BE NOW A QUESTION OF GABE
7 OF HOW WE'RE GOING TO DETERMINE THIS, BUT IT ALSO IS
8 BEING DEVELOPED FOR A RARE OR UNMET NEED UNLIKELY TO
9 RECEIVE FUNDING FROM OTHER SOURCES. SO WE'RE KIND
10 OF BACKING INTO THIS NOBODY ELSE IS GOING TO FUND
11 IT.

12 SO AS TIME HAS GONE ON, THE LIKELIHOOD OF
13 OTHER SOURCES FUNDING STEM CELL RESEARCH HAS
14 CERTAINLY INCREASED WELL AWAY FROM WHERE THINGS
15 STOOD IN 2004, AND WE'VE CONTINUED PROVIDING
16 FUNDING. HOW IS IT THAT WE'RE GOING TO DETERMINE
17 THIS PART OF IT AS UNLIKELY TO RECEIVE FUNDING FROM
18 ANY OTHER SOURCE? WHAT KIND OF DUE DILIGENCE IS
19 GOING TO BE ENGAGED IN TO REQUIRE THAT? BECAUSE I
20 AGREE WE'RE OPENING THE DOOR A BIT POTENTIALLY ON
21 THIS; BUT, AT THE SAME TIME, WE'RE REALLY TRYING TO
22 LIMIT WHAT WE'RE GOING TO LET IN THROUGH THAT
23 SOMEWHAT OPEN DOOR. HOW DO WE MANAGE THAT?

24 DR. STEWARD: COULD I JUST ADD ONE MORE
25 THING, AND I'M SORRY AND THEN I'LL REALLY BE QUIET,

BETH C. DRAIN, CA CSR NO. 7152

1 I PROMISE. ONE COULD SAY THAT ANYTHING THESE DAYS,
2 WITH NIH PAYLINES BEING WHERE THEY ARE, IS UNLIKELY
3 TO BE FUNDED BY NIH. JUST TO SAY.

4 CHAIRMAN THOMAS: BEFORE WE GET TO OTHER
5 BOARD MEMBERS, DR. SAMBRANO, DO YOU HAVE ANY
6 THOUGHTS ON THAT QUESTION FOR MR. JUELSGAARD?

7 DR. SAMBRANO: NO. IT'S LANGUAGE THAT'S
8 ALREADY INCLUDED IN OUR ELIGIBILITY REQUIREMENTS FOR
9 OTHER THINGS AS WELL, AND IT WAS FOR THE SMALL
10 MOLECULES AND BIOLOGICS. SO IT'S NOT ALWAYS EASY;
11 BUT, IN GENERAL, THE ARGUMENT HAS BEEN THAT,
12 ESPECIALLY IN THIS AREA OF TRANSLATION AND CLINICAL,
13 THERE'S OFTEN LITTLE AVAILABILITY FOR FUNDS TO CARRY
14 THESE PROJECTS FORWARD. SO THAT HAS OFTEN BEEN THE
15 ARGUMENT OR THE RATIONALE BEHIND MOVING SOMETHING
16 THAT IS ADDRESSING AN UNMET NEED IN THIS AREA.

17 DR. PRIETO: I JUST WOULD COMMENT THAT I
18 THINK THE CALIBER OF THE PEOPLE WHO GIL AND HIS TEAM
19 HAVE RECRUITED FOR THE GRANTS WORKING GROUP INCLUDES
20 PEOPLE WITH A VERY GOOD UNDERSTANDING OF WHAT THE
21 FACTS ON THE GROUND ARE IN ANY PARTICULAR AREA OF
22 THIS RESEARCH. AND THE QUESTION OF STEMNESS OR
23 REGENERATIVENESS OF A PARTICULAR PROPOSAL DOES COME
24 UP AND IS DISCUSSED, I THINK, IN A PRETTY THOUGHTFUL
25 WAY.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. MARTIN: LET ME STATE MY POSITION.
2 I'M CERTAINLY VERY MUCH IN FAVOR OF THIS, BUT THE
3 ISSUE OF UNMET NEED OR DIFFICULT TO SOURCE FUNDING,
4 I THINK, IS AN ISSUE THAT EVOLVES. AND AS WE'VE
5 DISCUSSED JUST IN THE LAST COUPLE OF MINUTES, THE
6 STEM CELL FUNDING INITIALLY WAS NIGH ONTO IMPOSSIBLE
7 IN THIS COUNTRY, AND THAT HAS EVOLVED. AND NOW IT'S
8 COMMONPLACE. AND THERE ARE A LOT OF ACTIVITIES
9 GOING ON, AND I THINK THE WHOLE GENE THERAPY,
10 PARTICULARLY IN VIVO GENE THERAPY, IS NOW DIFFICULT
11 TO GET FUNDED FROM AN AGENCY OR COMMERCIALY, BUT
12 THAT WILL CHANGE.

13 AND SO MAYBE THE WAY TO ADDRESS THAT IS TO
14 SAY IT IS DIFFICULT, VERY DIFFICULT ANYWAY, BUT I
15 THINK THAT THE FUNDING OF GENE THERAPY IS JUST IN
16 THE EARLY DAYS, BUT IT WILL BECOME MUCH MORE COMMON
17 AND ACCESSIBLE FOR FUNDING AS IT EVOLVES.

18 CHAIRMAN THOMAS: OKAY. THANK YOU. ANY
19 OTHER COMMENTS? OKAY. WE NEED A MOTION TO APPROVE.

20 DR. STEWARD: COULD I JUST MAKE A
21 RECOMMENDATION THAT PERHAPS WE BREAK THIS APART INTO
22 SEPARATE MOTIONS AND MAYBE SEPARATE OUT THIS ONE
23 FROM THE REST? I'D BE A LOT MORE COMFORTABLE.

24 CHAIRMAN THOMAS: SO WHAT EXACTLY ARE YOU
25 SUGGESTING?

BETH C. DRAIN, CA CSR NO. 7152

1 DR. STEWARD: SO I WILL MOVE THAT ALL OF
2 THE CHANGES IN THE CONCEPT PLANS BE APPROVED EXCEPT
3 FOR THE CHANGE WITH REGARD TO THIS WHOLE THING. CAN
4 I MAKE THAT MOTION? IS THAT GETTING COMPLICATED?

5 MR. TOCHER: NO, I DON'T THINK SO AT THIS
6 POINT. I THINK WE CAN BREAK THAT OUT.

7 DR. STEWARD: IT MAY VERY WELL BE THAT
8 WE'LL END UP APPROVING BOTH, BUT I'D JUST LIKE TO
9 HAVE THAT AS A SEPARATE VOTE.

10 CHAIRMAN THOMAS: IS THERE A SECOND?

11 DR. JUELSGAARD: I'LL SECOND.

12 CHAIRMAN THOMAS: MOVED BY DR. STEWARD,
13 SECONDED BY MR. JUELSGAARD. IS THERE ANY
14 DISCUSSION, FURTHER DISCUSSION?

15 DR. MARTIN: WOULD YOU STATE THE MOTION
16 AGAIN? I'M A LITTLE CONFUSED.

17 DR. STEWARD: EVERYTHING IN THE CONCEPT
18 CHANGE EXCEPT FOR GENE THERAPY.

19 MR. SHEEHY: WOULD YOU INCLUDE GENE
20 THERAPY OF STEM CELLS? IS THAT STILL IN SCOPE?

21 DR. STEWARD: OH, ABSOLUTELY. IF IT'S
22 STEM CELL THINGS, SURE. THAT DOESN'T CHANGE ANY OF
23 OUR POLICIES. SO YES.

24 DR. DULIEGE: BUT THERE WILL BE A SECOND
25 MOTION --

BETH C. DRAIN, CA CSR NO. 7152

1 DR. STEWARD: THERE WILL BE A SECOND.

2 DR. DULIEGE: -- FOR GENE THERAPY. IT'S
3 NOT THAT WE'RE IGNORING GENE THERAPY, BUT IT'S A
4 SEPARATE MOTION.

5 MR. TORRES: FOR GUIDANCE, MR. TOCHER,
6 WHAT DOES THE PROPOSITION STATE IN RESPECT TO THIS
7 TYPE OF RESEARCH?

8 MR. TOCHER: IT WAS ACCURATELY DESCRIBED.
9 WITH RESPECT TO A VITAL RESEARCH OPPORTUNITY, THAT
10 THE AGENCY CAN FUND IF THERE'S A TWO-THIRDS VOTE OF
11 THE GRANTS WORKING GROUP THAT SUCH AN APPLICATION
12 PROVIDES A VITAL RESEARCH OPPORTUNITY.

13 MR. TORRES: SO WE'RE WITHIN THAT REALM?

14 MR. TOCHER: ABSOLUTELY.

15 MS. LANSING: SO WE'RE WITHIN THE REALM,
16 AND I DON'T MIND SPLITTING IT OUT BECAUSE WE'LL VOTE
17 ON IT. I JUST WANT TO SAY THE WHOLE POINT OF THIS
18 AGENCY IS TO MOVE WITH THE SCIENCE AND TO BE AT THE
19 CUTTING EDGE OF THE SCIENCE. AND IF SCIENCE IS
20 MOVING IN ONE WAY AND WE'RE NOT PART OF IT, THEN
21 WE'RE NOT SERVING THE CITIZENS.

22 CHAIRMAN THOMAS: THANK YOU, SHERRY.
23 OTHER COMMENTS BY MEMBERS OF THE BOARD? ANY PUBLIC
24 COMMENT? HEARING NONE, MARIA, WILL YOU PLEASE CALL
25 THE ROLL.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. BONNEVILLE: GEORGE BLUMENTHAL. LINDA
2 BOXER.
3 DR. BOXER: YES.
4 MS. BONNEVILLE: KEN BURTIS.
5 DR. BURTIS: YES.
6 MS. BONNEVILLE: DEBORAH DEAS. DAVID
7 BRENNER. ANNE-MARIE DULIEGE.
8 DR. DULIEGE: YES.
9 MS. BONNEVILLE: JUDY GASSON.
10 DR. GASSON: YES.
11 MS. BONNEVILLE: DAVID HIGGINS.
12 DR. HIGGINS: YES.
13 MS. BONNEVILLE: STEPHEN JUELSGAARD.
14 MR. JUELSGAARD: YES.
15 MS. BONNEVILLE: SHERRY LANSING.
16 MS. LANSING: YES.
17 MS. BONNEVILLE: LINDA MALKAS.
18 DR. MALKAS: YES.
19 MS. BONNEVILLE: BERT LUBIN.
20 DR. LUBIN: YES.
21 MS. BONNEVILLE: DAVE MARTIN.
22 DR. MARTIN: YES.
23 MS. BONNEVILLE: SHLOMO MELMED.
24 DR. MELMED: YES.
25 MS. BONNEVILLE: LAUREN MILLER.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. MILLER: YES.
2 MS. BONNEVILLE: ADRIANA PADILLA.
3 DR. PADILLA: YES.
4 MS. BONNEVILLE: JOE PANETTA. FRANCISCO
5 PRIETO.
6 DR. PRIETO: AYE.
7 MS. BONNEVILLE: ROBERT QUINT. AL
8 ROWLETT.
9 MR. ROWLETT: YES.
10 MS. BONNEVILLE: SUZANNE SANDMEYER.
11 DR. SANDMEYER: YES.
12 MS. BONNEVILLE: JEFF SHEEHY.
13 MR. SHEEHY: YES.
14 MS. BONNEVILLE: OSWALD STEWARD.
15 DR. STEWARD: YES.
16 MS. BONNEVILLE: JONATHAN THOMAS.
17 CHAIRMAN THOMAS: YES.
18 MS. BONNEVILLE: ART TORRES.
19 MR. TORRES: AYE.
20 MS. BONNEVILLE: KRISTINA VUORI.
21 DR. VUORI: YES.
22 MS. BONNEVILLE: DIANE WINOKUR.
23 MS. WINOKUR: YES.
24 MS. BONNEVILLE: MOTION CARRIES.
25 CHAIRMAN THOMAS: THANK YOU. DO WE HEAR A

BETH C. DRAIN, CA CSR NO. 7152

1 SECOND MOTION ON THE GENE THERAPY TOPIC?

2 MR. SHEEHY: SURE. I WOULD MOVE TO
3 INCLUDE -- MAYBE MR. TOCHER CAN HELP US ON FORM TO
4 INCLUDE THE PIECE WE CUT OUT.

5 MR. TOCHER: EXCELLENT FORM, JEFF. SURE.
6 THE MOTION IS TO INCLUDE ANY OTHER ENDOGENOUS CELL
7 IF DEEMED A VITAL RESEARCH OPPORTUNITY BY THE CIRM
8 GRANTS WORKING GROUP.

9 MR. SHEEHY: GENE THERAPY AND ANY OTHER
10 ENDOGENOUS CELL DEEMED A VITAL RESEARCH OPPORTUNITY.

11 MR. TOCHER: THAT'S RIGHT. THAT LANGUAGE.

12 MR. SHEEHY: THAT'S MY MOTION.

13 MR. TORRES: SECOND.

14 CHAIRMAN THOMAS: SECOND BY SENATOR
15 TORRES. FURTHER DISCUSSION ON THIS TOPIC?

16 DR. STEWARD: COULD I JUST ASK FOR A FULL
17 EXPLANATION OF WHAT WE MEAN BY GENE THERAPY IN THAT
18 MOTION?

19 MR. SHEEHY: I THINK I MAY DEFER TO DR.
20 MILLAN TO -- WELL, I'M PERSONALLY -- TO ME THE
21 CONTROL POINT IS THE TWO-THIRDS MAJORITY OF THE
22 GRANTS WORKING GROUP THAT DEEM THIS A VITAL RESEARCH
23 OPPORTUNITY. SO GENE THERAPY OF AN ENDOGENOUS CELL
24 IS A BIG DOOR, BUT WE HAVE A CONTROL POINT TO SHRINK
25 THAT DOOR. SO THAT'S WHY I'M COMFORTABLE WITH A

BETH C. DRAIN, CA CSR NO. 7152

1 VERY BROAD DESCRIPTION BECAUSE I REALLY AGREE WITH
2 SHERRY LANSING, THAT WE WANT TO BE ON THE CUTTING
3 EDGE. SO I DON'T WANT TO NARROWLY PRESCRIBE IN
4 ADVANCE WHAT MIGHT COME TO US. AND LET'S NOT FORGET
5 THIS IS VERY HIGH RISK. I MEAN THE GELSINGER CASE
6 WAS AN IN VIVO GENE THERAPY APPROACH. AND SO I
7 THINK THAT IT IS A FRAUGHT FIELD, AND I WOULD LIKE
8 TO BE AT THE CUTTING EDGE BECAUSE I THINK THE PEOPLE
9 WHO ARE GOING TO DO IT ARE PEOPLE WE'VE BEEN FUNDING
10 TO LEARN HOW TO DO IT EX VIVO. AND THE METAPHOR I
11 USED AT THE SCIENCE SUBCOMMITTEE IS I'D HATE TO
12 BRING THE HORSE TO WATER AND THEN SAY YOU CAN'T
13 DRINK AND LET SOMEBODY ELSE GET THAT CREDIT.

14 DR. STEWARD: LET ME JUST SAY, IF I COULD,
15 IT'S MAYBE NOT BRINGING THE HORSE. IT MAY BE
16 BRINGING A HERD IF WE'RE NOT PRETTY PRESCRIPTIVE IN
17 HOW WE DEFINE THE TERM "GENE THERAPY." AGAIN, NOT
18 TO JUST OVERWHELM THE GRANTS WORKING GROUP, I THINK,
19 YES, THESE ARE EXPERTS, AS YOU KNOW, TO WHOM I
20 ALWAYS GRANT THANKS FOR ALL THEIR HARD WORK. BUT I
21 THINK THAT THIS IS REALLY GOING TO BE A HARD
22 DECISION FOR THEM TO MAKE IN THAT CONTEXT.

23 ACTUALLY COULD I ALSO JUST ASK ONE
24 QUESTION? HOW MANY PROJECTS OVER THE YEARS HAVE WE
25 FUNDED IN THIS CATEGORY OF -- I FORGET THE EXACT

BETH C. DRAIN, CA CSR NO. 7152

1 TERMINOLOGY -- NOT STEM CELLS, BUT THAT REQUIRED A
2 TWO-THIRDS VOTE OF THE SCIENCE SUBCOMMITTEE?

3 DR. SAMBRANO: WE HAVEN'T.

4 MR. SHEEHY: I GUESS YOUR PROBLEM THAT YOU
5 IDENTIFY IS A PROBLEM I WOULD LOVE TO HAVE. I WOULD
6 LOVE PEOPLE LINING -- I WOULD LOVE A HERD OF PEOPLE
7 WITH CURES FOR DISEASES INVOLVING IN VIVO GENE
8 MODIFICATION. I THINK THAT THAT WOULD BE A HUGE
9 SUCCESS IF CIRM IN SOME WAY MADE THAT HAPPEN. I
10 MEAN THAT'S WHAT WE ALL HOPE HAPPENS.

11 SO I WOULD LOVE TO DEAL WITH THAT PROBLEM
12 WHEN IT HAPPENED.

13 DR. STEWARD: IT'S BETTER THAN A HORSE.

14 CHAIRMAN THOMAS: DULY NOTED. DR.
15 MARTIN.

16 DR. MARTIN: THE PROPOSAL, I GATHER, IS
17 NOT OR THE MOTION IS NOT TO RESTRICT THIS TO IN VIVO
18 GENE THERAPY. IT'S GENE THERAPY WHETHER IT BE EX
19 VIVO, IN VIVO, AUTOLOGOUS, OR ALLOGENEIC. IS THAT
20 TRUE?

21 DR. SAMBRANO: THE COMPONENT THAT REQUIRES
22 THE TWO-THIRDS MAJORITY BY THE GWG IS SPECIFICALLY
23 THE NONSTEM CELLS. SO THIS WOULD BE IN VIVO GENE
24 THERAPY IN AN ENDOGENOUS NONSTEM CELL. OTHER
25 THINGS, SUCH AS WHETHER IT'S EX VIVO OR IN VIVO AND

BETH C. DRAIN, CA CSR NO. 7152

1 IT INVOLVES A STEM CELL, WE HAVE BEEN ABLE TO FUND
2 THAT ALREADY. SO IT IS JUST THIS SUBCOMPONENT THAT
3 WE WOULD BE REQUESTING APPROVAL FOR.

4 DR. MARTIN: BUT WHAT ABOUT EX VIVO GENE
5 THERAPY FOR A NONSTEM CELL, EXACTLY AS YOU HAVE
6 FUNDED FOR STEM CELLS?

7 DR. SAMBRANO: NO, THAT WOULD NOT BE
8 INCLUDED.

9 DR. MARTIN: FOR WHAT REASON?

10 DR. SAMBRANO: THAT THAT WAS NOT THE
11 RECOMMENDATION THAT CAME OUT OF THE SCIENCE
12 SUBCOMMITTEE.

13 DR. MILLAN: MAY I JUST MAKE A STATEMENT?
14 I THINK A COUPLE OF THINGS THAT HAVE ARISEN IN THE
15 PAST AS WE CONSIDERED PROJECT OPPORTUNITIES THAT
16 CAME TO US THAT WERE NOT ELIGIBLE BECAUSE THIS
17 WASN'T ELIGIBLE IS THAT THERE MAY BE SOME PROMISING
18 APPROACHES TO ADDRESS SIGNIFICANT UNMET MEDICAL
19 NEEDS THAT MAY INVOLVE STEM CELL, BUT MAYBE
20 PREDOMINANTLY AFFECTS THE TARGET POPULATION THAT
21 INDUCES AN EFFICACY AND BENEFIT SIGNAL. AND SO THEN
22 WE COME TO THIS ELIGIBILITY EXERCISE WHERE THEN THE
23 APPLICANTS ARE THEN ASKED TO PROVE THAT IN VIVO IT'S
24 TARGETING THOSE STEM CELLS, AND IT'S A SIGNIFICANT
25 PART OF THE MECHANISM OF ACTION. AND A LOT OF WHAT

BETH C. DRAIN, CA CSR NO. 7152

1 HAPPENS AS THESE THERAPIES ARE BEING DEVELOPED AND
2 IT'S BEING MORE ELUCIDATED WHAT THE EFFECT IS IT'S
3 THE CONTRIBUTION OF THE STEM CELL COMPARTMENT, IF
4 THERE IS ONE IN THERE, IS NOT SOMETHING THAT'S
5 REALLY ELUCIDATED UNTIL YOU START DOING CLINICAL
6 TRIALS OFTEN. RIGHT? AND SO YOU'RE KIND OF BETWEEN
7 A ROCK AND A HARD PLACE OF REALLY WHAT HAPPENS IS
8 WE'RE ARTIFICIALLY PUTTING RESTRICTIONS BEFORE WE
9 GET ANSWERS SOMETIMES. THAT'S ONE OF THE THINGS.

10 ANOTHER OPPORTUNITY HERE, OUR NEXT
11 GENERATION, LET'S SAY WE GET AN HIV CURE, BUT WE
12 WANT TO BE ABLE TO DELIVER IT TO OTHER PARTS OF THE
13 WORLD THAT IS NOT GOING TO BE ABLE TO HANDLE SOME OF
14 THE VERY COMPLEX MANUFACTURING EX VIVO PROCESSES
15 THAT ARE CURRENTLY BEING EXPLORED. IN THE FUTURE,
16 THE NEXT GENERATION MAY BE IN VIVO GENE DELIVERY.
17 AND SO THOSE ARE KIND OF JUST -- I JUST WANTED TO
18 THROW IT OUT THERE IN TERMS OF WHAT THIS COULD ALLOW
19 US TO CONSIDER, AGAIN, PROVIDED THAT IT GOES THROUGH
20 A VERY RIGOROUS PEER REVIEW AND GETS EVALUATED FOR
21 IT OVERCOMES A HURDLE THAT IT IS A VITAL RESEARCH
22 OPPORTUNITY TO ADDRESS AN UNMET MEDICAL NEED THAT'S
23 UNIQUELY ADDRESSED WITH THIS. I JUST WANTED TO PUT
24 THOSE TWO CONSIDERATIONS OUT THERE.

25 MR. TOCHER: JUST A QUICK CLARIFYING

BETH C. DRAIN, CA CSR NO. 7152

1 COMMENT ON OUR PART, NOT IN SUPPORT OR OPPOSITION TO
2 THE MOTION, JUST NOTHING IN THE PROPOSAL CHANGES THE
3 FACT THAT THE APPLICATION REVIEW SUBCOMMITTEE ALWAYS
4 EXERCISES THE FINAL DECISION-MAKING AUTHORITY AS TO
5 WHETHER TO FUND OR NOT FUND ANY AWARD REGARDLESS OF
6 THE GRANTS WORKING GROUP'S RECOMMENDATION.

7 CHAIRMAN THOMAS: OKAY. IS THERE ANY
8 OTHER DISCUSSION? DR. STEWARD.

9 DR. STEWARD: I AM SORRY, BUT A QUESTION.
10 SO IF WE HAVE THE ABILITY TO FUND OTHER VITAL
11 RESEARCH OPPORTUNITIES, BUT NEVER HAVE, WHAT WOULD
12 BE THE PROCEDURE BY WHICH THOSE OTHER VITAL RESEARCH
13 OPPORTUNITIES WOULD COME BEFORE CIRM, ONES THAT WERE
14 NOT STEM CELLS OR GENE THERAPY?

15 MR. SHEEHY: YOU AND ME AND FRANCISCO AND
16 SHERRY HAVE BEEN HERE SINCE THE BEGINNING. WE NEVER
17 PUT IN -- THAT'S ON YOU, OS. ME AND SHERRY AND
18 FRANCISCO, WE NEVER CREATED THAT DOOR. NOW, WE
19 COULD AT SOME POINT CREATE A DOOR, BUT WE HAVEN'T.
20 SO...

21 DR. STEWARD: YOU COULD IMAGINE THAT THIS
22 DOOR SHOULD BE THERE AND IT ISN'T. AND I'M AGAIN
23 JUST -- I LOVE GENE THERAPY. IT'S GREAT. IT'S
24 INCREDIBLE, BUT I'M JUST CONCERNED THAT, AGAIN,
25 THERE ARE OTHER SHOTS ON GOAL OUT THERE. IF WE'RE

BETH C. DRAIN, CA CSR NO. 7152

1 GOING TO OPEN THE DOOR, LET'S MAKE IT OPEN.

2 DR. PRIETO: WE HAVE HAD APPLICANTS COME
3 IN IN VARIOUS ROUNDS TRYING TO SLIDE KIND OF
4 SIDEWAYS THROUGH A DOOR THROUGH WHICH THEY REALLY
5 DON'T FIT, MORE THAN ONCE. I THINK THOSE GENERALLY
6 GET WEEDED OUT. SO THERE'S POTENTIALLY AN AVENUE
7 THERE. OH, YEAH. WE'RE REALLY DOING THIS WHATEVER.
8 THAT'S SELF-DECLARED, BUT PERHAPS WE NEED TO CREATE
9 A DOOR.

10 DR. STEWARD: MY POINT IS THAT THIS WOULD
11 NEVER GET TO THE GRANTS WORKING GROUP. THE
12 EXCLUSION CRITERIA THAT CIRM APPLIES TO THE GRANTS
13 MANAGEMENT PROCESS AT THE FIRST ITERATION WOULD
14 PRECLUDE ANYTHING THAT DOESN'T QUALIFY FROM GETTING
15 THERE. THAT'S MY POINT.

16 MR. SHEEHY: IN THIS INSTANCE WE ARE
17 CREATING A DOOR.

18 DR. STEWARD: WE ARE. I KNOW.

19 MR. SHEEHY: THIS IS THE DECISION POINT
20 WE'RE AT. AGAIN, I JUST THINK LINKING IT TO --
21 WE'VE BEEN AROUND, WHAT, WHAT ARE WE GOING ON NOW,
22 ALMOST 14, 15 YEARS. WE CREATED SOME CAPACITY. AND
23 WHAT I'M HEARING IS THAT CAPACITY, IN ORDER TO FULLY
24 REALIZE THE PROMISE OF CURES, INCLUDES CREATING THIS
25 DOOR AND THAT'S WHY. THERE IS A LINKAGE TO THE WORK

BETH C. DRAIN, CA CSR NO. 7152

1 THAT WE'VE BEEN DOING AS AN AGENCY IN THE PAST.
2 THERE'S A CHAIN OF BEING, SO TO SPEAK, A LINE OF
3 EXPLORATION AND DISCOVERY. THAT'S WHY -- LIKE I
4 SAY, I WOULD HATE TO HAVE PEOPLE WHO HAVE DOING WORK
5 AND GETTING MORE AND MORE SOPHISTICATED TO BE SO
6 SOPHISTICATED THAT THEY WORK THEMSELVES OUT OF
7 ELIGIBILITY AT A POINT WHEN YOU COULD HAVE, AS I
8 SAID, THE MOST SCALABLE, THE MOST COMMERCIALY
9 VIABLE PROJECTS.

10 DR. STEWARD: JUST TO BE CLEAR, I'M NOT
11 OBJECTING TO A DOOR. I'M CONCERNED ABOUT THE
12 DEFINITION OF THIS ONE. AND I JUST WONDER IF WE
13 OUGHT TO JUST TAKE A BREATH AND THINK THROUGH THIS A
14 LITTLE BIT MORE CLEARLY. AND THAT IS TELLING YOU
15 HOW I'M GOING TO VOTE ON THIS IF IT COMES TO A VOTE
16 RIGHT NOW. MAKING SURE THAT WE HAVE OUR DEFINITIONS
17 VERY CLEAR, MAKING SURE THAT IF WE DO WANT TO LIMIT
18 IT, THAT'S FINE. MAKE THAT DECISION. IF WE DON'T
19 WANT TO LIMIT IT, THEN THE DOOR IS REALLY THERE FOR
20 OTHER VITAL RESEARCH OPPORTUNITIES THAT COME TO US.

21 CHAIRMAN THOMAS: DR. SAMBRANO, DO WE
22 HAVE A WORKING DEFINITION?

23 DR. SAMBRANO: THE ONLY DEFINITION IS WHAT
24 YOU SEE BEFORE YOU OF IN VIVO GENE THERAPY FOR
25 ENDOGENOUS NONSTEM CELLS.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. VUORI: I WAS SORT OF THINKING WHEN
2 LISTENING TO DR. STEWARD'S COMMENTS THAT IF WE THINK
3 ABOUT THE CIRM MISSION, AS THE NAME OF THE ENTITY
4 IMPLIES, I THINK THE GOAL IS TO CARRY OUT
5 REGENERATIVE MEDICINE. AND REALLY WHAT THIS MEANS
6 IS WE ARE TRYING TO REPLACE OR REGENERATE EITHER
7 CELLS, TISSUES, OR ORGANS IN ORDER TO RESTORE OR
8 ESTABLISH THEIR NORMAL FUNCTION. AND SO FAR THE
9 MAIN WAY WE HAVE ADDRESSED THIS IS THROUGH STEM
10 CELLS, RIGHT? AND BASICALLY THIS MAKES SENSE IN
11 STEM CELLS ARE CELLS THAT WHEN PUT BACK INTO THE
12 HUMAN BODY AGAIN DO THAT VERY THING. THEY CAN
13 RESTORE, REGENERATE THE CELL, TISSUE, OR ORGAN
14 FUNCTION THAT WAS NOT THERE.

15 NOW, THE FIELD OF GENE THERAPY IS MOVING
16 FORWARD VERY RAPIDLY SO THAT IN MY MIND THE VERY
17 DEFINITION OF GENE THERAPY IS THAT NOW WE ARE
18 TRANSFERRING GENETIC MATERIAL INTO CELLS TO PROVIDE
19 THEM WITH THESE NEW FUNCTIONS IN THE BODY. SO IT'S
20 NOT THE STEM CELL THAT GOES IN THERE AND RESTORES
21 THE FUNCTION. THE FIELD OF GENE THERAPY AND IN VIVO
22 GENE THERAPY IS AT A POINT WHERE THE GENETIC
23 MATERIAL TRANSFERRED TO CELLS IS ABLE TO RESTORE,
24 GIVE THEM THIS FUNCTION THAT WAS LOST OR NEVER
25 THERE.

BETH C. DRAIN, CA CSR NO. 7152

1 SO IF IT HELPS, SHOULD WE LIMIT THE
2 DEFINITION OF IN VIVO GENE THERAPY TO MEAN
3 ESSENTIALLY TRANSFER OF GENETIC MATERIAL INTO CELLS
4 IN VIVO WITH THE INTENT TO PROVIDE THEM WITH NEW
5 FUNCTIONS; I.E., REGENERATIVE MEDICINE, SO WE'RE NOT
6 TRYING TO DO EVERYTHING THAT GENE THERAPY CAN BE
7 DOING, BUT REALLY LIVE UP TO THE SPIRIT OF THE
8 REGENERATIVE MEDICINE MISSION OF CIRM.

9 MR. SHEEHY: SO I WOULD TAKE THAT AS A
10 FRIENDLY AMENDMENT. BUT I WONDER IF WE SHOULD ALSO
11 INCLUDE DR. MARTIN'S, WITH THAT TIGHTER DEFINITION,
12 TO ALSO INCLUDE EX VIVO? YOU CAN IMAGINE CERTAIN
13 CELLS THAT -- A STEP WHERE YOU WOULD TAKE THE CELLS
14 FROM THE BODY TO DO IT BEFORE YOU DO IT IN THE BODY.

15 DR. MARTIN: I THINK THAT THE LINE IN THE
16 SAND BETWEEN IN VIVO AND EX VIVO IS VERY SOFT. AND
17 I'LL JUST GIVE YOU A SCENARIO. I'M NOT SURE HOW FAR
18 AWAY IT IS. SUPPOSE YOU HAVE A PATIENT WHO IS A
19 GOOD CANDIDATE FOR GENE THERAPY, AND THAT PATIENT
20 HAS, FOR INSTANCE, A TWIN. AND THE TWIN YOU DO EX
21 VIVO GENE THERAPY AND THEN YOU JUST SET UP A
22 SYMBIOSIS BETWEEN THE TWO OF THEM. AND PEOPLE ARE
23 DOING THAT. RIGHT? AND ALL OF A SUDDEN THE THERAPY
24 FOR THE PATIENT WHO'S FUNDED BY CIRM, THAT'S AN IN
25 VIVO THERAPY, BUT IT ACTUALLY WAS EX-VIVO TO BEGIN

BETH C. DRAIN, CA CSR NO. 7152

1 WITH. OR THERE IS A MACHINE, AN INSTRUMENT, THAT'S
2 REMARKABLE THAT MILT TENNY HAS WHERE YOU CAN JUST
3 PUT VIRUS IN THE TOP AND PERIPHERAL BLOOD CELLS IN
4 ANOTHER PORT, AND THAT WILL MAKE CAR-T CELLS AND
5 THEY COME OUT THE BOTTOM.

6 AND SO YOU HOOK THE PATIENT UP TO JUST THE
7 BLOOD SOURCE, AND NOW IT GOES BACK INTO THE PATIENT.
8 AND THAT'S WORKING. AND IT'S INCREDIBLY EFFICIENT.
9 IT'S SAFER THAN HAVING HUMANS DO THIS MANIPULATION,
10 THE SCIENTIST. SO I THINK THAT THE DIVIDING LINE
11 BETWEEN EX VIVO AND IN VIVO, WHILE YOU MAY BE ABLE
12 TO KNOW IT WHEN YOU SEE IT, NOW I THINK IT'S GOING
13 TO CHANGE. SO I'M NOT SURE THAT WE WANT TO RESTRICT
14 TO JUST EX VIVO EVEN THOUGH THAT WAS THE
15 DECLARATION. AND MAYBE THAT'S SOMETHING WE'LL TAKE
16 ON A YEAR FROM NOW WHEN PEOPLE ARE PUTTING
17 TRANSPOSON I.V. AND THAT'S GOING TO WORK. IT'S
18 CONFUSING RIGHT NOW BECAUSE IT'S EVOLVING.

19 MR. SHEEHY: SO MAYBE THE MOTION IS TO
20 INCLUDE IN VIVO AND EX VIVO GENETIC MODIFICATION OF
21 ENDOGENOUS CELLS. AND I THINK I'LL HAVE TO RELY ON
22 DR. VUORI FOR THE CLARIFICATION ON WHAT WE EXPECT TO
23 HAPPEN WITH THE GENETIC MODIFICATION. THAT WAS A
24 GREAT ANSWER, BUT I DIDN'T QUITE GET IT ALL LINED
25 OUT, BUT I THOUGHT THAT THAT WAS A VERY CLEAN, NEAT

BETH C. DRAIN, CA CSR NO. 7152

1 DEFINITION SO THAT WE'RE NOT SAYING JUST ANYTHING.

2 DR. MELMED: THERE ARE ENDOGENOUS CELLS,
3 AND THERE COULD BE EXOGENOUS. ENDOGENOUS MEANS NOT
4 SELF.

5 MR. SHEEHY: SO WE'LL DROP BOTH. WE'LL
6 DROP ENDOGENOUS. DR. VUORI, YOU HAD A DEFINITION.
7 I BELIEVE IT INCLUDED INTRODUCTION OF GENETIC
8 MATERIAL INTO THE CELLS AND AN ELEMENT THAT CHANGED
9 THE FUNCTION OF THE CELLS. THERE MIGHT HAVE BEEN A
10 THIRD TEST, BUT I THINK THOSE ARE AT LEAST TWO OF
11 THE TESTS.

12 DR. VUORI: UNFORTUNATELY I DIDN'T WRITE
13 ANYTHING DOWN. SOMETHING ALONG THE LINES OF
14 ESSENTIALLY TRANSFER OF GENETIC MATERIAL INTO CELLS
15 IN ORDER TO RESTORE OR ESTABLISH NORMAL CELLULAR
16 FUNCTION OR REGENERATIVE FUNCTION.

17 DR. MELMED: REGENERATE DISEASED TISSUE.

18 DR. VUORI: YEAH. SOMETHING ALONG THOSE
19 LINES.

20 DR. STEWARD: WE CAN'T BE WRITING THESE
21 KINDS OF THINGS ON THE FLY AT A MEETING LIKE THIS, I
22 DON'T THINK. AGAIN, JUST I WOULD RECOMMEND THAT WE
23 SET THIS ASIDE, PUT IT DOWN IN WRITING, GIVE US ALL
24 A CHANCE TO THINK ABOUT IT AND AMEND IT, AND VOTE ON
25 IT. EITHER WE CAN DO IT AT AN AD HOC BOARD MEETING

BETH C. DRAIN, CA CSR NO. 7152

1 OR BY PHONE OR WHATEVER. THERE'S NO RUSH TO DO THIS
2 RIGHT AT THIS MOMENT.

3 MR. SHEEHY: I ACTUALLY DO THINK THERE'S A
4 RUSH. WE DON'T MEET AGAIN TILL DECEMBER.

5 DR. STEWARD: DO IT BY PHONE OR SPECIAL
6 PHONE-IN BOARD MEETING.

7 DR. PRIETO: MR. CHAIRMAN, DO WE HAVE A
8 PROPOSAL IN THE WINGS, SO TO SPEAK, THAT WOULD
9 CREATE AN URGENCY?

10 MR. SHEEHY: THEY'RE NOT CURRENTLY
11 ELIGIBLE, SO WE DON'T KNOW. I WOULD PREFER TO
12 VOTE -- PERSONALLY -- YOU'RE THE SECOND, I THINK. I
13 WOULD PREFER TO VOTE ON THE MOTION MYSELF.

14 DR. PRIETO: I'M IN SUPPORT OF THE IDEA,
15 BUT I -- I UNDERSTAND OS' CONCERNS ABOUT TRYING TO
16 WORDSMITH THIS IN THIS KIND OF SETTING. IT'S NOT
17 IDEAL. AND I THINK WE COULD PROBABLY WAIT UNTIL
18 NEXT MONTH. I'D BE CERTAINLY HAPPY TO DO A PHONE
19 MEETING MYSELF.

20 CHAIRMAN THOMAS: LET ME ASK MR. TOCHER.
21 IS THERE ANY REASON THIS COULD OR COULD NOT BE AN
22 ITEM TO VOTE ON ON THE NEXT TELEPHONIC APPLICATION
23 REVIEW SUBCOMMITTEE?

24 MR. TOCHER: NO. WE WOULD HAVE TO MAKE
25 SURE THAT WE COULD GET A QUORUM TO ATTEND THAT

BETH C. DRAIN, CA CSR NO. 7152

1 MEETING TELEPHONICALLY, BUT ASSUMING THAT COULD BE
2 DONE.

3 CHAIRMAN THOMAS: THE MONTHLY CALLS ARE
4 FOR THE FULL BOARD AND THE APPLICATION REVIEW
5 SUBCOMMITTEE.

6 MS. BONNEVILLE: THE APPLICATION REVIEW
7 SUBCOMMITTEE THAT ATTENDS, WE WOULD JUST NEED TO
8 POLL THE BOARD AND ENSURE THAT WE HAD QUORUM.

9 CHAIRMAN THOMAS: YES. EVERYBODY HEAR
10 THAT? MR. SHEEHY, IF WE PUT THIS OFF FOR ONE MONTH,
11 WOULD THAT BE OKAY WITH YOU?

12 MR. SHEEHY: SURE.

13 CHAIRMAN THOMAS: OKAY. THANK YOU.

14 DR. STEWARD, SO THE IDEA -- MR. SHEEHY HAS
15 SAID HE'S OKAY WITH THE IDEA OF PUTTING THIS OFF FOR
16 A MONTH TILL THE NEXT TELEPHONIC MEETING OF THE
17 BOARD AND THE APPLICATION REVIEW SUBCOMMITTEE WITH
18 AN UNDERSTANDING THAT WE NEED TO GET ENOUGH PEOPLE
19 ON THAT CALL WHO AREN'T ON THE APPLICATION REVIEW
20 SUBCOMMITTEE SO THAT WE HAVE A QUORUM OF THE BOARD.

21 MS. BONNEVILLE: THAT'S ON NOVEMBER 15TH
22 AT ELEVEN IN THE MORNING JUST SO EVERYONE WRITES
23 THAT DOWN.

24 CHAIRMAN THOMAS: OKAY. MR. SHEEHY.

25 MR. SHEEHY: MIGHT I ASK IF DR. MILLAN AND

BETH C. DRAIN, CA CSR NO. 7152

1 CIRM TEAM COULD FACILITATE COMING UP WITH A
2 DEFINITION BASED ON SOME OF THE CONVERSATIONS WE'VE
3 HAD HERE TODAY?

4 DR. MILLAN: YES, WE WILL.

5 DR. SANDMEYER: ALONG THOSE LINES, WOULD
6 IT BE POSSIBLE TO HAVE SEVERAL EXAMPLES OF WHAT
7 WOULD NOT QUALIFY FOR IN VIVO GENE THERAPY UNDER
8 THIS NEW INVITATION?

9 MR. SHEEHY: I WITHDRAW THE MOTION.

10 CHAIRMAN THOMAS: MOTION IS WITHDRAWN.
11 THE SECOND WITHDRAWN AS WELL. IT'S BEEN A VERY
12 GOOD, ROBUST DISCUSSION. THANK YOU, DR. STEWARD,
13 FOR YOUR THOUGHTS. AND THANK YOU, MR. SHEEHY, FOR
14 YOUR LEADERSHIP ON THIS IDEA. THANK YOU, DR. VUORI,
15 FOR YOUR ARTICULATION. WE WILL HAVE THIS AS A
16 CALENDARED ITEM FOR THE NOVEMBER APPLICATION REVIEW
17 AND BOARD CALL.

18 SO ON TO ITEM NO. 11.

19 MS. BONNEVILLE: TEN AND 11 WERE TOGETHER.

20 MS. WINOKUR: MAY I ASK A QUESTION? WHO
21 WILL BE WORKING ON THIS IN THE MEANTIME?

22 DR. MILLAN: DIANE, THE CIRM TEAM WILL
23 CIRCULATE PROPOSED LANGUAGE TO THE BOARD.

24 MS. WINOKUR: THANK YOU.

25 DR. MILLAN: YOU'RE WELCOME.

BETH C. DRAIN, CA CSR NO. 7152

1 CHAIRMAN THOMAS: OKAY. I BELIEVE WE'RE
2 GOING TO SKIP TO NO. 15, CORRECT?

3 MS. BONNEVILLE: LET'S DO ITEM NO. 9 A.M.,
4 HAVE EVERYONE GRAB LUNCH, AND THEN COME BACK.

5 CHAIRMAN THOMAS: FAIR ENOUGH. ITEM NO.
6 9 A.M., CONSIDERATION OF APPOINTMENT OF CO-CHAIRS TO
7 THE EVALUATION SUBCOMMITTEE. THIS IS FOR ME TO
8 DISCUSS.

9 SO IN THE ORDINARY COURSE, WE CONDUCT AN
10 ANNUAL REVIEW OF OUR CEO'S PERFORMANCE AND WANTED TO
11 ENGAGE THE EVALUATION SUBCOMMITTEE TO DO THAT. AND
12 TOWARDS THAT END, I HAVE ASKED DR. GASSON AND MR.
13 JUELSGAARD IF THEY WOULD BE CO-CHAIRS FOR THAT
14 EVALUATION SUBCOMMITTEE GOING FORWARD. SO THE SOLE
15 PURPOSE OF THIS MOTION, WHICH WE NEED ONE, IS TO
16 APPOINT THEM IN THOSE CAPACITIES.

17 THE EVALUATION SUBCOMMITTEE IS UNUSUAL
18 AMONGST SUBCOMMITTEES AS REQUIRING A BOARD VOTE FOR
19 THIS SORT OF THING IN CASE ANYBODY IS WONDERING WHY
20 THIS AN AGENDIZED TOPIC.

21 SO I WOULD MOVE THAT WE SO APPOINT DR.
22 GASSON AND MR. JUELSGAARD AS CO-CHAIRS OF THE
23 EVALUATION SUBCOMMITTEE. IS THERE A SECOND?

24 DR. LUBIN: SECOND.

25 CHAIRMAN THOMAS: SECONDED BY DR. LUBIN.

BETH C. DRAIN, CA CSR NO. 7152

1 ANY DISCUSSION? ANY PUBLIC COMMENT? HEARING NONE,
2 IS THIS SOMETHING THAT REQUIRES A ROLL CALL OR IS
3 VOICE VOTE SUFFICIENT, MR. TOCHER?

4 MR. TOCHER: WE SHOULD DO A ROLL CALL VOTE
5 AND WITH THOSE ON THE PHONE.

6 CHAIRMAN THOMAS: ROLL CALL EVEN IN THE
7 ROOM.

8 MS. BONNEVILLE: GEORGE BLUMENTHAL. LINDA
9 BOXER.

10 DR. BOXER: YES.

11 MS. BONNEVILLE: KEN BURTIS.

12 DR. BURTIS: YES.

13 MS. BONNEVILLE: DEBORAH DEAS. DAVID
14 BRENNER. ANNE-MARIE DULIEGE.

15 DR. DULIEGE: YES.

16 MS. BONNEVILLE: DAVID HIGGINS. SHERRY
17 LANSING.

18 MS. LANSING: YES.

19 MS. BONNEVILLE: LINDA MALKAS.

20 DR. MALKAS: YES.

21 MS. BONNEVILLE: BERT LUBIN.

22 DR. LUBIN: YES.

23 MS. BONNEVILLE: DAVE MARTIN.

24 DR. MARTIN: YES.

25 MS. BONNEVILLE: SHLOMO MELMED.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. MELMED: YES.
2 MS. BONNEVILLE: LAUREN MILLER.
3 MS. MILLER: YES.
4 MS. BONNEVILLE: ADRIANA PADILLA.
5 DR. PADILLA: YES.
6 MS. BONNEVILLE: JOE PANETTA. FRANCISCO
7 PRIETO.
8 DR. PRIETO: AYE.
9 MS. BONNEVILLE: ROBERT QUINT. AL
10 ROWLETT.
11 MR. ROWLETT: YES.
12 MS. BONNEVILLE: SUZANNE SANDMEYER.
13 DR. SANDMEYER: YES.
14 MS. BONNEVILLE: JEFF SHEEHY.
15 MR. SHEEHY: YES.
16 MS. BONNEVILLE: OSWALD STEWARD.
17 DR. STEWARD: YES.
18 MS. BONNEVILLE: JONATHAN THOMAS.
19 CHAIRMAN THOMAS: YES.
20 MS. BONNEVILLE: ART TORRES.
21 MR. TORRES: AYE.
22 MS. BONNEVILLE: KRISTINA VUORI.
23 DR. VUORI: YES.
24 MS. BONNEVILLE: DIANE WINOKUR.
25 MS. WINOKUR: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. BONNEVILLE: MOTION CARRIES.

2 CHAIRMAN THOMAS: OKAY. WE ACTUALLY ARE
3 GOING TO ADJOURN FOR LUNCH IN TWO SECONDS, BUT WE
4 HAVE A FIVE-SECOND ITEM, WHICH IS NO. 13. LET'S
5 JUST GET THROUGH THAT AS WELL. APPOINTMENT OF
6 SCIENTIFIC MEMBERS TO THE GWG.

7 AS ALWAYS ON A MONTHLY BASIS, WE HAVE
8 NEWLY IDENTIFIED POTENTIAL MEMBERS OF THAT. IT'S
9 NORMALLY A CONSENT ITEM. IT'S LISTED HERE AS AN
10 ACTION ITEM. SO DO I HAVE A MOTION TO APPROVE?

11 MS. LANSING: I MOVE IT.

12 DR. MALKAS: SECOND.

13 CHAIRMAN THOMAS: SECONDED BY DR. MALKAS.
14 IS THERE ANY DISCUSSION ON THIS? ANYBODY WANT DR.
15 SAMBRANO TO NAME WHO IT IS, OR WE JUST TRUST THAT,
16 AS UNUSUAL, HE'S COME UP WITH AUGUST ADDITIONS TO
17 OUR GROUP?

18 DR. SAMBRANO: YOU SHOULD HAVE THE BRIEF
19 BIO OF DR. ALAN ROBBINS IN YOUR MATERIALS.

20 CHAIRMAN THOMAS: ANY DISCUSSION? ANY
21 PUBLIC COMMENT? ALL THOSE IN THE ROOM IN FAVOR
22 PLEASE SAY AYE. OPPOSED? ABSTENTIONS? MARIA, WILL
23 YOU CALL THE ROLL OF THOSE ON THE PHONE.

24 MS. BONNEVILLE: LINDA BOXER.

25 DR. BOXER: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. BONNEVILLE: DAVID HIGGINS. SHERRY
2 LANSING.

3 MS. LANSING: YES.

4 MS. BONNEVILLE: LAUREN MILLER.

5 MS. MILLER: YES.

6 MS. BONNEVILLE: AL ROWLETT.

7 MR. ROWLETT: YES.

8 MS. BONNEVILLE: KRISTINA VUORI.

9 DR. VUORI: YES.

10 MS. BONNEVILLE: DIANE WINOKUR.

11 MS. WINOKUR: YES.

12 MS. BONNEVILLE: THANK YOU. MOTION
13 CARRIES.

14 CHAIRMAN THOMAS: OKAY. THANK YOU. SO
15 WE'RE GOING TO CONVENE NOW. WE WOULD LIKE MEMBERS
16 TO GET THEIR LUNCH AND BRING IT BACK INTO THE ROOM.
17 WHEN WE DO RESUME, WE'RE GOING TO GO INTO ITEMS
18 INVOLVING THE APPLICATION REVIEW SUBCOMMITTEE
19 STARTING WITH ITEM 15 AND THEN PROCEEDING TO ITEMS 5
20 AND 6, WHICH WILL BE CHAIRED BY MR. SHEEHY. SO IF
21 EVERYBODY COULD GET THEIR LUNCH AND PLEASE COME BACK
22 AND WE WILL RESUME SHORTLY. THANK YOU.

23 (A RECESS WAS TAKEN.)

24 CHAIRMAN THOMAS: OKAY. WE'RE GOING TO
25 RESUME. GOING TO START, AS I MENTIONED, WITH ITEM

BETH C. DRAIN, CA CSR NO. 7152

1 15, WHICH IS IN REFERENCE TO THE DISCOVERY AWARDS.
2 BEFORE WE TURN IT OVER TO MR. SHEEHY, MR. TOCHER HAS
3 A COMMENT.

4 MR. TOCHER: THAT'S RIGHT. SO AT THE
5 MOMENT NOW THE BOARD IS SITTING AS THE APPLICATION
6 REVIEW SUBCOMMITTEE. THIS PARTICULAR ITEM, ITEM 15,
7 BECAUSE IT CAME TO THE BOARD'S ATTENTION AFTER THE
8 ORIGINAL NOTICE WAS POSTED TEN DAYS AGO, WE JUST
9 HAVE A FORMALITY WE NEED TO ENGAGE IN IN ORDER TO
10 CONSIDER THESE QUEST ITEMS. SO WE JUST NEED TO TAKE
11 A VOTE ON TWO COMPONENTS: ONE, THAT THE APPLICATION
12 REVIEW SUBCOMMITTEE NEEDS TO TAKE IMMEDIATE ACTION
13 TO ENSURE NO UNDUE DELAY IN THE PROGRESSION OF THIS
14 RESEARCH AND, SECOND, THAT THE NEED FOR THIS ACTION,
15 CONSIDERATION OF THESE QUEST AWARDS, CAME TO OUR
16 ATTENTION AFTER THE ORIGINAL AGENDA WAS POSTED.

17 CHAIRMAN THOMAS: DO YOU NEED --

18 MR. TOCHER: MEMBERS OF THE APPLICATION
19 REVIEW SUBCOMMITTEE TO MAKE, SECOND, AND VOTE ON
20 THAT MOTION.

21 CHAIRMAN THOMAS: SO GIVE OVER TO MR.
22 SHEEHY.

23 MR. SHEEHY: SO COULD I GET A MOTION TO
24 THAT EFFECT FROM ONE OF THE MEMBERS OF THE
25 APPLICATION REVIEW SUBCOMMITTEE?

BETH C. DRAIN, CA CSR NO. 7152

1 DR. DULIEGE: MOVE IT.

2 DR. MARTIN: SECOND.

3 MR. SHEEHY: A MOTION FROM DR. DULIEGE AND
4 A SECOND FROM DR. MARTIN. DO WE NEED A VOICE VOTE,
5 SCOTT?

6 MR. TOCHER: THAT'S RIGHT AND A ROLL FOR
7 THOSE ON THE PHONE.

8 MR. SHEEHY: ALL THOSE IN FAVOR. AND THEN
9 FOR THE PHONE.

10 MS. BONNEVILLE: SHERRY LANSING. LAUREN
11 MILLER.

12 MS. MILLER: YES.

13 MS. BONNEVILLE: AL ROWLETT.

14 MR. ROWLETT: YES.

15 MS. BONNEVILLE: DIANE WINOKUR.

16 MS. WINOKUR: YES.

17 MS. BONNEVILLE: THANK YOU.

18 MR. SHEEHY: SO THAT MOTION PASSES.

19 NOW WE'RE MOVING INTO ITEM 15?

20 CHAIRMAN THOMAS: MR. TOCHER, DO WE NEED
21 TWO MOTIONS?

22 MR. TOCHER: NO. THAT ONE WAS FINE. YOU
23 CAN CONSIDER THE ITEM NOW.

24 MR. SHEEHY: DR. SAMBRANO HAS A
25 PRESENTATION.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. SAMBRANO: WE ARE NOW GOING TO
2 CONSIDER THE CIRM QUEST DISCOVERY PROGRAM
3 APPLICATIONS. AND I'M GOING TO GIVE YOU JUST A
4 BRIEF REMINDER OF WHAT THE QUEST PROGRAM IS ABOUT,
5 WHICH IS KIND OF OUR ENGINE OF DISCOVERY. IT TAKES
6 PROMISING NEW STEM CELL-BASED TECHNOLOGIES THAT WILL
7 BE READY FOR TRANSLATIONAL WORK WITHIN ABOUT TWO
8 YEARS. THE IDEA IS TO GET THEM TO DEVELOP A
9 CANDIDATE THAT CAN BE ADVANCED TO TRANSLATION AS
10 QUICKLY AS POSSIBLE.

11 NOW, SOME OF THESE APPLICATIONS HAVE GONE
12 THROUGH A LONG JOURNEY TO GET HERE, AND SO I'M JUST
13 GOING TO DESCRIBE A LITTLE BIT OF THAT HISTORY.

14 SO THE GRANTS WORKING GROUP REVIEWED THESE
15 APPLICATIONS AND ORIGINALLY RECOMMENDED 14 OF THEM
16 FOR FUNDING. SO THE TOTAL APPLICANT REQUEST WAS
17 ABOUT 19 MILLION; HOWEVER, THE FUNDS THAT WERE
18 AVAILABLE WERE ONLY TEN.

19 SO WHAT HAPPENED AT A JULY 19 ICOC
20 APPLICATION REVIEW SUBCOMMITTEE WAS THAT \$10 MILLION
21 OF FUNDING WAS APPROVED FOR EIGHT APPLICATIONS, AND
22 THAT INCLUDED PARTIAL FUNDING FOR TWO OF THEM. AND
23 THEN THE DECISION ON THE REMAINING SIX WAS DEFERRED.
24 AND THEN SUBSEQUENT TO THAT MEETING, CIRM RECOVERED
25 SOME FUNDS FROM ONE OF THE PARTIALLY FUNDED

BETH C. DRAIN, CA CSR NO. 7152

1 APPLICATIONS THAT DIDN'T REALLY GO THROUGH. THE
2 PROJECT PI MOVED OUT OF STATE, AND SO THE PARTIAL
3 FUNDS WERE RECOVERED AND BROUGHT BACK INTO THE POOL
4 OF FUNDS.

5 AND THEN LAST WEEK ON OCTOBER 18TH THE
6 APPLICATION REVIEW SUBCOMMITTEE CONSIDERED THESE
7 APPLICATIONS AGAIN, APPROVED FUNDING OF 549,000 OR
8 550 OR SO TO COMPLETE THE TOTAL AMOUNT REQUESTED FOR
9 ONE OF THE PARTIALLY FUNDED APPLICATIONS, WHICH WAS
10 DISC2-18109, AND THEN, BECAUSE THAT ESSENTIALLY
11 UTILIZED ALMOST ALL THE FUNDS, DEFERRED DECISION ON
12 THE REMAINING SIX APPLICATIONS. SO THOSE SIX HAVE
13 BEEN CARRIED FORWARD AND STILL COULD NOT ACT UPON
14 IT, BUT DID SO BY RECOMMENDING USE OF FUNDS FROM THE
15 2019 BUDGET TO FUND THOSE SIX APPLICATIONS. SO
16 THAT'S WHY YOU CONSIDERED THAT EARLIER TODAY.
17 CLEARLY THAT WAS APPROVED. AND SO WE NOW HAVE A
18 BUDGET THAT WILL ALLOW FUNDING OF THOSE SIX
19 REMAINING APPLICATIONS.

20 AND SO OUR RECOMMENDATION IS TO MOVE
21 FORWARD WITH FUNDING THOSE APPLICATIONS, AND THE
22 TOTAL THAT WOULD BE UTILIZED FOR THOSE WOULD BE 7.9
23 MILLION FOR THOSE APPLICATIONS. MR. SHEEHY.

24 MR. SHEEHY: THANK YOU, DR. SAMBRANO.

25 SO DO WE HAVE A MOTION FROM THE

BETH C. DRAIN, CA CSR NO. 7152

1 APPLICATION REVIEW SUBCOMMITTEE TO ACCEPT THE TEAM'S
2 RECOMMENDATION AND FUND THOSE REMAINING
3 APPLICATIONS?

4 DR. JUELSGAARD: SO MOVED.

5 MR. SHEEHY: DO I HAVE A SECOND?

6 DR. MARTIN: SECOND.

7 MR. SHEEHY: MR. JUELSGAARD HAS MADE THE
8 MOTION; DR. MARTIN HAS SECONDED IT. DO WE HAVE
9 DISCUSSION? PUBLIC COMMENT?

10 DR. STEWARD: BEFORE YOU ACTUALLY ASK FOR
11 PUBLIC COMMENTS, THIS IS A QUESTION. DO WE WANT
12 TO -- ARE WE MOVING THEM ALL?

13 MR. SHEEHY: YES.

14 DR. STEWARD: CAN I JUST HAVE THE MAKER OF
15 THE MOTION TO COMMENT ON THAT IN SUPPORT OF THAT
16 MOTION?

17 MR. JUELSGAARD: THAT'S UP TO THE CHAIR.
18 I HAVE NO POWER TO CONTROL WHAT YOU SAY OR DON'T
19 SAY. PLEASE, OS, GO AHEAD.

20 MR. SHEEHY: DID YOU HAVE A QUESTION?

21 DR. STEWARD: NO. THE QUESTION WAS THE
22 JUSTIFICATION FOR MAKING THE MOTION FOR ALL OF THESE
23 RATHER THAN MAYBE CONSIDERING THEM ONE AT A TIME.
24 THAT'S MY QUESTION.

25 MR. JUELSGAARD: JUSTIFICATION WAS THAT

BETH C. DRAIN, CA CSR NO. 7152

1 THEY WERE ALL TIER I PROJECTS WHEN THEY WERE
2 PRESENTED TO US SOME TIME AGO. AND WE ADDRESSED
3 THEM ONE AT A TIME LAST TIME BECAUSE WE DIDN'T HAVE
4 ENOUGH MONEY TO DO THEM ALL, AND WE RECOGNIZED THAT
5 AB INITIO. SO WE SAID, OKAY, WELL, LET'S TAKE THEM
6 ONE BY ONE BY ONE.

7 IF YOU WANT TO --

8 (INTERRUPTION BY PHONE OPERATOR.)

9 MR. JUELSGAARD: I'LL ADMIT IT WAS ON MY
10 SIDE, THAT INTERNAL ERROR. I'LL TAKE THE FALL FOR
11 IT, OS.

12 SO IF YOU WANT TO AMEND THE MOTION TO
13 EXCLUDE CERTAIN APPLICATIONS FROM THE MOTION, IT'S
14 SOMETHING YOU CAN RAISE.

15 DR. STEWARD: NO. JUST TO SAY THAT WOULD
16 BE KIND OF THE NORMAL WAY WE DO THIS. IS THERE A
17 MOTION TO NOT FUND ONE? JUST MY QUESTION.

18 MR. SHEEHY: CAN I ANSWER IT?

19 DR. STEWARD: YEAH.

20 MR. SHEEHY: BECAUSE WE DID HAVE AN
21 APPLICATION REVIEW SUBCOMMITTEE IN THE INTERIM WHERE
22 WE HAD THIS DISCUSSION. AND THAT'S WHERE THERE WAS
23 KIND OF A DEBATE WHETHER TO ACTUALLY ASK FOR
24 ADDITIONAL MONEY. WE ACTUALLY MET TO REALLOCATE --
25 TO DO TWO THINGS: TO REALLOCATE THE RETURNED FUNDS

BETH C. DRAIN, CA CSR NO. 7152

1 TO THE PROJECT THAT WE ONLY HALF FUNDED. AND THEN
2 THE DISCUSSION THERE WAS DO WE -- DO WE TAKE A
3 POSITION ON THESE REMAINING APPLICATIONS. WHAT CAME
4 FROM THERE WAS TO FUND THE REST OF THE APPLICATIONS.
5 SO THAT'S KIND OF WHAT'S DRIVING THIS MEETING IS
6 THAT THAT DISCUSSION DID NOT START PICKING THEM OUT
7 AND SAYING WE WANT TO FUND. THE REQUEST THEN CAME.
8 THE RECOMMENDATION WAS TO ASK THE FULL BOARD FOR THE
9 FULL FUNDS FOR ALL THE APPLICATIONS. SO THAT'S THE
10 GENESIS OF THAT.

11 DR. STEWARD: THAT WAS AT THE SCIENCE
12 SUBCOMMITTEE THOUGH, RIGHT?

13 MR. SHEEHY: NO. IT WAS THE APPLICATION
14 REVIEW SUBCOMMITTEE.

15 DR. STEWARD: NO. WHAT WE DID THERE WAS
16 ACTUALLY SAY THAT WE WILL CARRY THEM FORWARD. WE
17 DIDN'T ACTUALLY VOTE TO FUND THEM, I BELIEVE. I'D
18 HAVE TO GO BACK.

19 MR. SHEEHY: I KNOW. THE MEETINGS WERE ON
20 THE SAME DAY. I CAN'T REMEMBER WHAT HAPPENED.

21 MR. TOCHER: THE MOTION WAS TO CONTINUE
22 CONSIDERATION UNTIL THEY COULD BE FUNDED FROM BRIDGE
23 FUNDS THAT MIGHT BE RAISED. SO THAT WAS THE MOTION
24 THAT WAS APPROVED AT THE APPLICATION REVIEW
25 SUBCOMMITTEE.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. STEWARD: SO THAT WAS MY RECOLLECTION.
2 THAT'S WHY I'M ASKING. JUST AGAIN.

3 MR. SHEEHY: SO WE CAN REVIEW THESE
4 INDIVIDUALLY. WE DO HAVE A MOTION TO CONSIDER THEM
5 ALL, BUT THAT'S UP TO THE MAKER OF THE MOTION AND
6 THE SECOND WHETHER THEY WANT TO WITHDRAW THAT AND WE
7 CONSIDER THESE INDIVIDUALLY.

8 DR. JUELSGAARD: BEFORE I DECIDE, CAN I
9 GET A LITTLE MORE FEEDBACK AS TO -- BECAUSE IF WE DO
10 THESE ONE BY ONE, IT'S GOING TO TAKE UP A FAIR
11 AMOUNT MORE TIME POTENTIALLY.

12 DR. STEWARD: I'M NOT ACTUALLY SUGGESTING
13 THAT. I'M JUST REALLY SAYING THAT NORMALLY WHAT WE
14 DO IS TO GO THROUGH THAT PROCESS OF ARE THERE ANY OF
15 THIS GROUP THAT WE DO NOT WANT TO FUND.

16 DR. JUELSGAARD: WHAT WE NORMALLY DO IS I
17 THINK WE SAY MOVE THEM TO TIER II OR SOMETHING LIKE
18 THAT.

19 DR. STEWARD: WHATEVER THE LANGUAGE IS.
20 AND THAT MAY BE --

21 DR. JUELSGAARD: WE DID THAT AT THE
22 MEETING. WE NEVER MOVED ANY OF THESE BACK TO TIER I
23 AT THE MEETING IN WHICH WE ONLY PARTIALLY FUNDED
24 THEM.

25 DR. STEWARD: BUT THAT WAS BECAUSE WE

BETH C. DRAIN, CA CSR NO. 7152

1 CONTINUED CONSIDERATION OF THEM.

2 MR. JUELSGAARD: I'M GOING TO LET MY
3 MOTION REST AS IS. I'M NOT GOING TO WITHDRAW IT,
4 WHICH IS TO APPROVE AT THIS POINT ALL SIX.

5 DR. MARTIN: I'LL APPROVE TOO.

6 MR. SHEEHY: SO WE HAVE THAT MOTION.
7 SINCE THAT'S ON THE FLOOR, IS THERE ANY FURTHER
8 DISCUSSION OF THE MOTION BY BOARD MEMBERS? IS THERE
9 ANY PUBLIC COMMENT?

10 DR. REED: I KNOW LOGICALLY THAT CIRM
11 CANNOT WORK ACTUAL MAGIC. YOU CANNOT MAKE MONEY
12 COME WHEN THERE ISN'T SOME. BUT LAST WEEK IT FELT
13 PRETTY CLOSE TO THAT. WHEN THE BOARD SAID ZERO
14 MONEY, AND THEN ALL OF A SUDDEN A WAY WAS FOUND TO
15 WHERE THESE FIVE GENUINELY OUTSTANDING PROJECTS ARE
16 ALL GOING TO BE FUNDED, THAT'S GOING TO STICK IN MY
17 MIND FOREVER. I'M NOT CONNECTED TO ANY OF THEM. I
18 READ THEM ALL. ONE ON LIVER WAS GREAT; THE ONE ON
19 BLADDER CANCER WAS TERRIFIC. THIS IS IMPORTANT
20 STUFF AND YOU FOUND A WAY. ON BEHALF OF PATIENT
21 ADVOCATES THANK YOU ALL.

22 MR. KHALID: GOOD AFTERNOON, GUYS. MY
23 NAME IS ALI. I'M HERE FOR DISC2-18070 FOR AUTISM
24 TREATMENT BEHALF OF DR. LIPTON STUART. AND I WAS
25 HERE LAST WEEK AS WELL AND I EXPLAINED MYSELF. AND

BETH C. DRAIN, CA CSR NO. 7152

1 I REALLY APPRECIATED THAT YOU GUYS ARE GIVING ME A
2 CHANCE TO SAY SOMETHING ONE MORE TIME. THIS IS A
3 LIFE-CHANGING MOMENT FOR ME, FOR MY FAMILY, AND LOT
4 OF PEOPLE OUT THERE LIKE THIS WHO KIDS ARE GOING
5 THROUGH THIS DAILY BASIS BECAUSE WE CAN UNDERSTAND
6 WHAT WE GO THROUGH EVERY DAY AND WHAT WE'RE DEALING
7 WITH.

8 SO THIS IS A HUGE THING FOR US. AND WE
9 DON'T HAVE NO OTHER HOPE BESIDE WHAT DR. LIPTON
10 STUART DOING FOR MY SON AND FOR OTHER KIDS RELATED
11 TO THAT DISEASE, MEF2C. SO THAT'S THE ONLY THING I
12 WANT TO ADD. I HAVE NOTHING ELSE TO SAY. THIS IS
13 UP TO YOU GUYS. THAT'S ALL. THANK YOU.

14 MR. SHEEHY: THANK YOU. THANK YOU FOR
15 YOUR COMMENTS.

16 ANY OTHER PUBLIC COMMENT? SEEING NONE,
17 CAN WE CALL THE ROLL.

18 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

19 DR. DULIEGE: YES.

20 MS. BONNEVILLE: STEVE JUELSGAARD.

21 MR. JUELSGAARD: YES.

22 MS. BONNEVILLE: DAVE MARTIN.

23 DR. MARTIN: YES.

24 MS. BONNEVILLE: LAUREN MILLER.

25 MS. MILLER: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. BONNEVILLE: ADRIANA PADILLA.

2 DR. PADILLA: YES.

3 MS. BONNEVILLE: FRANCISCO PRIETO.

4 DR. PRIETO: AYE.

5 MS. BONNEVILLE: AL ROWLETT.

6 MR. ROWLETT: YES.

7 MS. BONNEVILLE: JEFF SHEEHY.

8 MR. SHEEHY: YES.

9 MS. BONNEVILLE: OS STEWARD.

10 DR. STEWARD: ABSTAIN.

11 MS. BONNEVILLE: JONATHAN THOMAS.

12 CHAIRMAN THOMAS: YES.

13 MS. BONNEVILLE: ART TORRES.

14 MR. TORRES: AYE.

15 MS. BONNEVILLE: DIANE WINOKUR.

16 MS. WINOKUR: YES.

17 MS. BONNEVILLE: MOTION CARRIES.

18 MR. SHEEHY: GREAT. THANK YOU.

19 I BELIEVE NOW WE GO TO ITEM NO. 5 ON THE
20 AGENDA, WHICH IS CONSIDERATION OF THE CLINICAL TRIAL
21 STAGE PROJECTS. AND I THINK -- WILL DR. PATEL BE
22 TAKING US THROUGH THOSE?

23 DR. PATEL: YES.

24 MR. SHEEHY: THANK YOU. OR THROUGH THAT
25 ONE. I THINK WE HAVE ONE SINGLE PROJECT THERE.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. PATEL: SO MY NAME IS SHYAM PATEL, AND
2 THANK YOU VERY MUCH FOR GIVING ME THE OPPORTUNITY TO
3 PRESENT THE CLINICAL PROGRAM TO YOU TODAY. IT'S
4 BEEN A LITTLE WHILE SINCE WE BROUGHT CLIN
5 APPLICATIONS TO YOU, AND WE'RE VERY EXCITED TO BRING
6 THIS ONE TO YOU TODAY.

7 SO, AS YOU KNOW, THE CLINICAL PROGRAM IS
8 ACTUALLY COMPOSED OF THREE DIFFERENT OPPORTUNITIES.
9 THERE'S IND-ENABLING CLIN1 OPPORTUNITY, CLIN2 IS FOR
10 PHASE 1, 2, AND 3 CLINICAL TRIAL PROJECTS, AND CLIN3
11 IS FOR REGISTRATION ACTIVITIES ON AN ONGOING CLIN2
12 AWARD. TODAY WE'RE PRESENTING A CLIN1 APPLICATION
13 TO YOU FOR APPROVAL.

14 JUST A REMINDER, THE SCORING MECHANISM
15 THAT OUR GRANTS WORKING GROUP USES FOR THE CLIN
16 PROGRAM, THIS IS A THREE-TIER SCORING SYSTEM AS
17 OPPOSED TO OUR OTHER FUNDING MECHANISMS. A SCORE OF
18 1 WOULD INDICATE THE APPLICATION HAS EXCEPTIONAL
19 MERIT AND WARRANTS FUNDING; A SCORE OF 2 INDICATES
20 IT HAS SOME MINOR FLAWS THAT REQUIRE ADDRESSING
21 PRIOR TO IT BEING AWARDED, AND A SCORE OF 3
22 INDICATES IT HAS MAJOR FLAWS AND SHOULD NOT BE
23 FUNDED AT THIS TIME AND SHOULD NOT BE RESUBMITTED
24 FOR SIX MONTHS.

25 TO GIVE YOU AN IDEA OF THE CLINICAL

BETH C. DRAIN, CA CSR NO. 7152

1 BUDGET, WE STARTED THIS YEAR WITH A \$130 MILLION IN
2 THE ANNUAL BUDGET FOR THE CLIN PROGRAM. TO DATE YOU
3 APPROVED \$86 MILLION IN APPROVED AWARDS FOR THE CLIN
4 PROGRAM. TODAY'S APPLICATION FOR YOUR CONSIDERATION
5 IS A \$4 MILLION AWARD ROUGHLY. IF YOU WERE TO
6 APPROVE THAT, IT WOULD LEAVE \$40 MILLION FOR THE
7 REST OF THE YEAR.

8 SO WHEN WE STARTED THIS YEAR, CIRM TEAM
9 SET INITIAL INTERNAL TARGETS FOR CLIN 2 AND CLIN1
10 AWARDS. FOR CLIN2 THAT WAS TWELVE. WE'RE AT SIX SO
11 FAR. CLIN1 IT WAS FOUR. WE'VE ALREADY MET THAT
12 TARGET, AND THIS IS GOING TO BE THE FIFTH CLIN1
13 AWARD.

14 SO THE ACTUAL APPLICATION UP FOR
15 CONSIDERATION TODAY IS CLIN1-18223. THIS IS A LATE
16 STAGE PRECLINICAL STUDIES PROJECT FOR A THERAPY FOR
17 HIV/AIDS. THE THERAPY ITSELF IS GENETICALLY
18 ENGINEERED CAR-T CELLS. THESE ARE CMV-SPECIFIC HIV
19 TARGETING CAR-T CELLS. INDICATION, AGAIN, IS FOR
20 HIV/AIDS. AND THE GOAL FOR THIS PROJECT IS TO
21 OPTIMIZE THE MANUFACTURING, TO CONDUCT PRECLINICAL
22 SAFETY AND EFFICACY STUDIES, NEEDS TO BE
23 IND-ENABLING STUDIES, AND TO PREPARE AND SUBMIT THE
24 IND ITSELF. THE FUNDS REQUESTED ARE \$3.8 MILLION
25 WITH ZERO DOLLARS IN COFUNDING. JUST A REMINDER,

BETH C. DRAIN, CA CSR NO. 7152

1 THE MAXIMUM FUNDS ALLOWABLE FOR THIS CATEGORY ARE \$6
2 MILLION.

3 SO TO GIVE YOU AN IDEA ABOUT THIS PROJECT
4 AND GIVE YOU SOME BACKGROUND ON IT, THE POTENTIAL
5 IMPACT IS THAT THERE ARE 1.1 MILLION PEOPLE IN THE
6 U.S. LIVING WITH HIV, AS MANY OF YOU ALREADY KNOW.
7 THERE ARE APPROXIMATELY 40,000 NEWLY DIAGNOSED
8 PATIENTS EACH YEAR. AND THIS IS ACCORDING TO THE
9 NIH. AND APPROXIMATELY 16,000 HIV PATIENTS DIE IN
10 THE U.S. EACH YEAR. COULD BE FOR VARIOUS REASONS.

11 SO THE VALUE PROPOSITION FOR THIS
12 PARTICULAR THERAPY IS THE FOLLOWING: THE CURRENT
13 STANDARD OF CARE, AS YOU ALL KNOW, IS
14 ANTI-RETROVIRAL THERAPY. AND WHILE ART IS EFFECTIVE
15 AT CONTROLLING HIV, IT REQUIRES DAILY ADMINISTRATION
16 OF THE DRUGS, AND IT'S ASSOCIATED WITH VARIOUS
17 MORBIDITIES, INCLUDING CARDIOVASCULAR DISEASE AND
18 CANCER. SO THE PROPOSED CAR-T THERAPY WOULD BE
19 POTENTIALLY A SINGLE ADMINISTRATION OF THE CAR-T
20 CELLS THAT COULD ACHIEVE COMPLETE, WHICH IS A
21 STERILE CURE, OR A FUNCTIONAL CURE OF HIV INFECTION
22 WITHOUT THE NEED FOR ART. SO THEY WOULD NOT NEED
23 THE DAILY ADMINISTRATION OF THIS DRUG POTENTIALLY.

24 THE STEM CELL RELEVANCE IS THAT THE CELL
25 THERAPY ITSELF IS COMPOSED OF CENTRAL MEMORY AND

BETH C. DRAIN, CA CSR NO. 7152

1 MEMORY STEM T CELLS.

2 WE DO HAVE A COUPLE OF OTHER PROJECTS IN
3 OUR PORTFOLIO RIGHT NOW THAT ARE ACTIVE IN THE
4 CLINICAL STAGE THAT ARE ALSO TARGETING THE SAME
5 INDICATION. THERE ARE BOTH PHASE 1 AND PHASE 1/2
6 TRIALS IN HIV/AIDS OR AIDS LYMPHOMA, AND BOTH OF
7 THESE ARE USING GENE-MODIFIED HEMATOPOETIC STEM
8 CELLS MEANT TO BE RESISTANT TO HIV INFECTION.

9 AND, LASTLY, THIS PARTICULAR APPLICATION
10 HAS NOT RECEIVED PREVIOUS FUNDING FROM CIRM FOR
11 EARLIER STAGES OF THE PROJECT.

12 THE GWG REVIEWED THIS APPLICATION, AND
13 THEY FOUND IT TO HAVE EXCEPTIONAL MERIT AND IT
14 WARRANTED FUNDING. AND THEY UNANIMOUSLY SCORED IT A
15 TIER I SCORE WITH 12 VOTES. THERE WERE NO VOTES FOR
16 TIER II AND NO VOTES FOR TIER III. AND THE CIRM
17 TEAM CONCURS WITH THE GWG RECOMMENDATION FOR THE
18 FUNDING AMOUNT OF \$3,812,797.

19 MR. SHEEHY: THANK YOU. SO DO I HAVE A
20 MOTION TO ACCEPT THE GWG AND THE CIRM TEAM
21 RECOMMENDATION?

22 MR. TORRES: MOVE IT.

23 MR. SHEEHY: SENATOR TORRES. DO I HAVE A
24 SECOND?

25 CHAIRMAN THOMAS: SECOND.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. SHEEHY: SECONDED BY CHAIRMAN THOMAS.
2 DO WE HAVE ANY BOARD DISCUSSION? ANY
3 PUBLIC COMMENT? CAN WE CALL THE ROLL.
4 MS. BONNEVILLE: ANNE-MARIE DULIEGE.
5 DR. DULIEGE: YES.
6 MS. BONNEVILLE: STEVE JUELSGAARD.
7 MR. JUELSGAARD: YES.
8 MS. BONNEVILLE: DAVE MARTIN.
9 DR. MARTIN: YES.
10 MS. BONNEVILLE: LAUREN MILLER.
11 MS. MILLER: YES.
12 MS. BONNEVILLE: ADRIANA PADILLA.
13 DR. PADILLA: YES.
14 MS. BONNEVILLE: FRANCISCO PRIETO. AL
15 ROWLETT.
16 MR. ROWLETT: YES.
17 MS. BONNEVILLE: JEFF SHEEHY.
18 MR. SHEEHY: YES.
19 MS. BONNEVILLE: OS STEWARD.
20 DR. STEWARD: YES.
21 MS. BONNEVILLE: JONATHAN THOMAS.
22 CHAIRMAN THOMAS: YES.
23 MS. BONNEVILLE: ART TORRES.
24 MR. TORRES: AYE.
25 MS. BONNEVILLE: DIANE WINOKUR.

BETH C. DRAIN, CA CSR NO. 7152

1 CAN YOU HOLD THIS OPEN?

2 MR. SHEEHY: SURE. I WILL SAY AN
3 INTERESTING THING ABOUT THIS THAT DIDN'T GET
4 HIGHLIGHTED IS THE CMV REACTIVITY, WHICH WILL
5 ACTUALLY PLAY A ROLE, COULD ACTUALLY -- IT'S ONE OF
6 THE MORE INTERESTING THINGS BECAUSE YOU DON'T NEED
7 TO DO CONDITIONING, THAT YOU'RE ABLE TO PROLIFERATE
8 AND MAINTAIN THE COMPARTMENT BECAUSE OF THE CMV
9 REACTIVITY. AND THAT ACTUALLY COULD HAVE
10 APPLICATION TO ALL CAR-T CELL THERAPIES, GETTING RID
11 OF THE NEED TO USE CONDITIONING IN ORDER TO USE
12 THOSE CELLS. SO I THOUGHT THAT WAS A REALLY COOL
13 ASPECT OF THIS IS THAT THERE WAS A FEATURE TO IT
14 THAT WAS VERY INNOVATIVE.

15 DR. PRIETO: ARE YOU WAITING FOR MY VOTE?

16 MR. SHEEHY: WE'RE WAITING FOR YOUR VOTE.

17 DR. PRIETO: AYE.

18 MR. SHEEHY: WE HAVE AN AYE FOR DR.
19 PRIETO.

20 MS. BONNEVILLE: DIANE WINOKUR.

21 MR. SHEEHY: IT PASSES. GREAT. THANK
22 YOU.

23 SO COULD WE GO NOW TO ITEM NO. 6, THE
24 TRANSLATION PRESENTATION, AND I THINK IS THAT DR.
25 SAMBRANO?

BETH C. DRAIN, CA CSR NO. 7152

1 DR. SAMBRANO: YEAH. IT'S ME.

2 MR. SHEEHY: WE DID PUBLIC COMMENT.

3 DR. SAMBRANO: THANK YOU, MR. SHEEHY. SO
4 THIS IS THE TRANSLATION PROGRAM. JUST A REMINDER OF
5 WHERE IT FITS AMONG OUR FUNDING OPPORTUNITIES.
6 TRANSLATION IS RIGHT AT THE CENTER, TAKING PROJECTS
7 THAT COME OUT OF DISCOVERY THAT HAVE A CANDIDATE AND
8 TAKES THEM TO THE POINT WHERE THEY'RE READY TO
9 CONDUCT IND-ENABLING STUDIES. SO THAT IS THE
10 OBJECTIVE. OF COURSE, WE WANT TO SUPPORT PROMISING
11 STEM CELL-BASED PROJECTS THAT WILL ACCELERATE THE
12 COMPLETION OF THESE TRANSLATIONAL ACTIVITIES AND
13 ADVANCE THEM AS QUICKLY AS WE CAN TOWARDS THE
14 CLINIC.

15 AS I HAD MENTIONED PREVIOUSLY, THE TRAN
16 PROGRAM SUPPORTS CANDIDATES THAT COVER A VARIETY OF
17 PRODUCT TYPES, INCLUDING THERAPEUTICS DEVICES,
18 DIAGNOSTIC, MEDICAL DEVICES, AND TOOLS. IN THIS
19 PARTICULAR CASE, AS IT USUALLY IS THE CASE, THEY ARE
20 PRIMARILY THERAPEUTIC. WE HAD ONE APPLICATION THAT
21 WAS FOR A TOOL. SO FOR THE MOST PART, WE'RE GOING
22 TO DISCUSS THERAPEUTIC APPLICATIONS.

23 SO IN THAT CONTEXT, THE TRANSLATION
24 PROGRAM WILL TAKE SOMETHING THAT HAS IDENTIFIED A
25 SINGLE CANDIDATE THAT SHOWS DISEASE-MODIFYING

BETH C. DRAIN, CA CSR NO. 7152

1 ACTIVITIES AS THE READINESS POINT FOR COMING INTO
2 THE PROGRAM. WHEN IT'S FUNDED, IT IS ALLOWED ABOUT
3 30 MONTHS TO GET TO THE EXPECTED OUTCOME OF
4 COMPLETING A PRE-IND MEETING WITH THE FDA.

5 THE REVIEW CRITERIA THAT ARE UTILIZED TO
6 ASSESS THESE BY THE GWG INCLUDE THE OVERALL
7 SIGNIFICANCE AND POTENTIAL FOR IMPACT OF THE
8 PROJECT; THAT IS, WHAT VALUE IT BRINGS; THE
9 RATIONALE, WHETHER THAT IS SOUND, MAKES SENSE, AND
10 HAS SUPPORTING DATA TO CONTINUE MOVING THIS FORWARD;
11 WHETHER IT'S WELL-PLANNED AND DESIGNED; AND, OF
12 COURSE, WHETHER IT'S FEASIBLE, INCLUDING HAVING A
13 QUALITY TEAM AND ALL THE RESOURCES AVAILABLE TO
14 CARRY THE PROJECT OUT.

15 THE SCORING SYSTEM, UNLIKE THE CLINICAL
16 PROGRAM THAT DR. PATEL PRESENTED, GOES BACK TO THE
17 ONE TO A HUNDRED SCORING SYSTEM. SO HERE
18 APPLICATIONS THAT ARE DEEMED MERITORIOUS HAVE A
19 SCORE OF 85 OR ABOVE. THOSE THAT ARE NOT
20 RECOMMENDED FOR FUNDING HAVE A MEDIAN SCORE BETWEEN
21 1 AND 84.

22 SO THE RECOMMENDATIONS THAT CAME OUT OF
23 THIS CYCLE OF TRAN FROM THE GRANTS WORKING GROUP IS
24 THAT THERE ARE THREE APPLICATIONS THAT WERE
25 RECOMMENDED FOR FUNDING. THE TOTAL APPLICANT

BETH C. DRAIN, CA CSR NO. 7152

1 REQUEST FOR THOSE THREE APPLICATIONS IS ABOUT 13.5
2 MILLION, AND WE HAVE ABOUT 15.8 AVAILABLE TO COVER
3 THESE AMOUNTS REQUESTED. SO THERE ARE SUFFICIENT
4 FUNDS TO COVER THOSE THREE.

5 AND SO, BRIEFLY, IF YOU WANT ME TO GO
6 THROUGH EACH ONE, OR WE CAN SHOW THE SPREADSHEET
7 THAT SHOWS THOSE THREE THAT ARE RECOMMENDED.

8 MR. SHEEHY: WHAT ABOUT THIS AS A WAY TO
9 PROCEED? MAYBE I'LL TAKE A MOTION TO SEE ABOUT
10 MOVING ANY FROM TIER II INTO TIER I. AND THEN WE
11 CAN TAKE THEM EACH ONE BY ONE AND HAVE A VOTE ON
12 EACH APPLICATION SINCE THERE'S ONLY THREE OF THEM.

13 SO IS THERE ANYONE WHO WISHES TO MOVE AN
14 APPLICATION FROM TIER II TO TIER I? SINCE THERE'S
15 NO MOTION TO THAT EFFECT, DR. SAMBRANO, CAN WE START
16 WITH THE FIRST RECOMMENDED APPLICATION.

17 DR. SAMBRANO: FIRST APPLICATION THAT'S
18 RECOMMENDED IS TRAN-18265. SO THIS ONE IS ENTITLED
19 "CLINICAL TRANSLATION OF AUTOLOGOUS REGENERATIVE
20 CELL THERAPY FOR BLINDNESS." IT IS ONE OF TWO
21 VISION-RELATED APPLICATIONS THAT ARE BEING
22 RECOMMENDED.

23 THIS ONE IS ADDRESSING BROADLY
24 MACULOPATHY, SO THOSE THINGS THAT INCLUDE
25 AGE-RELATED MACULAR DEGENERATION, MYOPIC MACULAR

BETH C. DRAIN, CA CSR NO. 7152

1 DEGENERATION, AND STARGARDT'S DISEASE. AND THE
2 THERAPY APPROACH IS AN AUTOLOGOUS INDUCED
3 PLURIPOTENT STEM CELL-DERIVED RETINAL PIGMENT
4 EPITHELIUM THAT WOULD BE TRANSPLANTED IN ORDER TO
5 TREAT PATIENTS WITH THESE MACULOPATHIES.

6 SO THE SCORE THAT WAS GIVEN BY THE GWG WAS
7 AN 85. OUT OF THE 14 MEMBERS THAT SCORED THIS
8 APPLICATION, 13 SCORED WITHIN THE FUNDING RANGE.
9 THERE WAS JUST ONE THAT SCORED BELOW THAT.

10 SO OVERALL THE GRANTS WORKING GROUP WAS
11 ENTHUSIASTIC ABOUT THIS APPLICATION. THERE WERE
12 SOME MINOR COMMENTS RELATED TO THE OVERALL RATIONALE
13 IN TERMS OF PRELIMINARY DATA AND POSSIBLE CHALLENGE
14 TO COMMERCIALIZATION, BUT OTHERWISE A STRONG
15 RECOMMENDATION FOR THIS APPLICATION.

16 MR. SHEEHY: DO I HAVE A MOTION TO ACCEPT
17 THE GRANTS WORKING GROUP'S RECOMMENDATION ON THIS
18 APPLICATION?

19 DR. DULIEGE: MOVE.

20 MR. ROWLETT: SECOND.

21 MR. SHEEHY: DO WE HAVE ANY DISCUSSION ON
22 THAT APPLICATION AMONG BOARD MEMBERS? IS THERE ANY
23 PUBLIC COMMENT ON THAT APPLICATION? CAN WE CALL THE
24 ROLL PLEASE.

25 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. DULIEGE: YES.
2 MS. BONNEVILLE: STEVE JUELSGAARD.
3 MR. JUELSGAARD: YES.
4 MS. BONNEVILLE: DAVE MARTIN.
5 DR. MARTIN: YES.
6 MS. BONNEVILLE: LAUREN MILLER.
7 MS. MILLER: YES.
8 MS. BONNEVILLE: ADRIANA PADILLA.
9 DR. PADILLA: YES.
10 MS. BONNEVILLE: FRANCISCO PRIETO.
11 DR. PRIETO: AYE.
12 MS. BONNEVILLE: AL ROWLETT.
13 MR. ROWLETT: YES.
14 MS. BONNEVILLE: JEFF SHEEHY.
15 MR. SHEEHY: YES.
16 MS. BONNEVILLE: OS STEWARD.
17 DR. STEWARD: YES.
18 MS. BONNEVILLE: JONATHAN THOMAS.
19 CHAIRMAN THOMAS: YES.
20 MS. BONNEVILLE: ART TORRES.
21 MR. TORRES: AYE.
22 MS. BONNEVILLE: DIANE WINOKUR.
23 MS. WINOKUR: YES.
24 MS. BONNEVILLE: THANK YOU. THE MOTION
25 CARRIES.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. SHEEHY: THANK YOU. NEXT APPLICATION
2 PLEASE.

3 DR. SAMBRANO: NEXT APPLICATION IS
4 TRAN1-11259, SO THIS ONE IS ENTITLED "DEVELOPING
5 ENGINEERED AUTOLOGOUS LEUKEMIA VACCINES TO TARGET
6 RESIDUAL LEUKEMIC STEM CELLS." SO WHAT THIS PROJECT
7 DOES IS IT TAKES PATIENT-SPECIFIC LEUKEMIA CELLS FOR
8 PATIENTS WITH AML. SO THIS IS AN APPLICATION THAT
9 TAKES FROM AML PATIENTS LEUKEMIA CELLS AND CREATES A
10 VACCINE FROM THEM. SO THEY ENGINEER THESE CELLS TO
11 EXPRESS SOME NOVEL IMMUNE STIMULATORY MOLECULES
12 WITHIN THOSE CELLS AND INTRODUCE THEM BACK TO THE
13 PATIENT. THEY ARE, OF COURSE, RADIATED BEFORE
14 BRINGING THEM BACK TO THE PATIENT. AND THE IDEA IS
15 THAT THEY STIMULATE AND DEVELOP AN IMMUNE RESPONSE
16 TO THEIR AML. AGAIN, AN AUTOLOGOUS APPROACH TO
17 THIS.

18 THIS APPLICATION RECEIVED A SCORE OF 85.
19 WE HAD TEN MEMBERS OF THE GWG THAT SCORED BETWEEN 85
20 AND A HUNDRED, AND THERE WERE THREE THAT SCORED IT
21 BETWEEN 1 AND 84.

22 (PAUSE IN PROCEEDINGS.)

23 DR. SAMBRANO: LET ME USE THIS MICROPHONE
24 WHILE THAT IS RESOLVED.

25 SO I THINK WHERE I LEFT OFF IS WE HAD TEN

BETH C. DRAIN, CA CSR NO. 7152

1 MEMBERS OF THE GWG THAT SCORED THIS APPLICATION
2 BETWEEN 85 AND 100 AND THREE THAT GAVE IT A SCORE
3 BETWEEN 1 AND 84. SO OVERALL ANOTHER STRONG
4 APPLICATION FROM THE VIEW OF THE GRANTS WORKING
5 GROUP. THERE WERE SOME MINOR CONCERNS ABOUT THE
6 EXTENT TO WHICH THIS MIGHT BE SPECIFICALLY TARGETING
7 OR BE A STEM CELL-TARGETING PRODUCT. AND SOME
8 REVIEWERS ASKED FOR ADDITIONAL PRELIMINARY DATA IN
9 TERMS OF SUPPORTING THE EFFICACY, BUT OTHER THAN
10 THAT, A STRONG RECOMMENDATION FOR THIS.

11 MR. SHEEHY: SO DO WE HAVE A MOTION TO
12 ACCEPT THE GWG'S RECOMMENDATION?

13 MR. TORRES: MOVE IT.

14 DR. DULIEGE: SECOND.

15 MR. SHEEHY: ANY DISCUSSION ON THIS? SO
16 WE HAVE A MOTION. WE HAD A SECOND, DR. DULIEGE. DO
17 WE HAVE ANY BOARD DISCUSSION ON THIS? ANY PUBLIC
18 COMMENT? CAN WE CALL THE ROLL.

19 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

20 DR. DULIEGE: YES.

21 MS. BONNEVILLE: STEVE JUELGAARD.

22 MR. JUELGAARD: YES.

23 MS. BONNEVILLE: DAVE MARTIN.

24 DR. MARTIN: YES.

25 MS. BONNEVILLE: LAUREN MILLER.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. MILLER: YES.
2 MS. BONNEVILLE: ADRIANA PADILLA.
3 DR. PADILLA: YES.
4 MS. BONNEVILLE: FRANCISCO PRIETO. AL
5 ROWLETT.
6 MR. ROWLETT: YES.
7 MS. BONNEVILLE: JEFF SHEEHY.
8 MR. SHEEHY: YES.
9 MS. BONNEVILLE: OS STEWARD.
10 DR. STEWARD: YES.
11 MS. BONNEVILLE: JONATHAN THOMAS.
12 CHAIRMAN THOMAS: YES.
13 MS. BONNEVILLE: ART TORRES.
14 MR. TORRES: AYE.
15 MS. BONNEVILLE: DIANE WINOKUR.
16 MS. WINOKUR: YES.
17 MS. BONNEVILLE: MOTION CARRIES. OH,
18 FRANCISCO.
19 DR. PRIETO: AYE.
20 MS. BONNEVILLE: THANK YOU.
21 MR. SHEEHY: DR. SAMBRANO, COULD WE GO TO
22 THE NEXT APPLICATION.
23 DR. SAMBRANO: ABSOLUTELY. SO THE NEXT
24 APPLICATION IS TRAN1-18300. SO THIS ONE IS ENTITLED
25 "A PURIFIED ALLOGENEIC CELL THERAPY PRODUCT FOR

BETH C. DRAIN, CA CSR NO. 7152

1 TREATMENT OF DRY AGE-RELATED MACULAR DEGENERATION."
2 SO THIS IS THE SECOND OF THE TWO VISION-RELATED
3 APPLICATIONS THAT WERE RECOMMENDED.

4 THIS ONE IS INTENDED TO DEVELOP AN
5 ALLOGENEIC PRODUCT AS OPPOSED TO AN AUTOLOGOUS ONE
6 FOR RETINAL PIGMENT EPITHELIAL CELLS IN ORDER TO
7 RESTORE FUNCTION TO THE RETINA SPECIFICALLY FOR DRY
8 AGE-RELATED MACULAR DEGENERATION.

9 SO THIS APPLICATION WAS RECOMMENDED WITH A
10 SCORE OF 85 WITH 12 MEMBERS OF THE GWG GIVING IT A
11 SCORE BETWEEN 85 AND 100, THREE THAT SCORED BELOW
12 THAT. MINOR CONCERNS ONLY REALLY WITH THIS
13 APPLICATION REQUESTING ADDITIONAL DATA ON
14 PRELIMINARY DATA THAT MIGHT HELP DETERMINE WHETHER
15 THE SINGLE CELLS, BECAUSE THEY'RE DEVELOPING A
16 SINGLE CELL PRODUCT AS OPPOSED TO ONE THAT COMES IN
17 THE FORM OF NEUROSPHERES, WOULD BE ACTUALLY BETTER.
18 SO FEELING THAT A LITTLE MORE DATA WOULD BE NEEDED
19 FOR THESE. OTHER THAN THAT, A STRONG RECOMMENDATION
20 FROM THE GWG.

21 MR. SHEEHY: THANK YOU, DR. SAMBRANO. DO
22 WE HAVE A MOTION TO ACCEPT THE GWG RECOMMENDATION?

23 DR. PRIETO: SO MOVED.

24 MR. TORRES: SECOND.

25 MR. SHEEHY: IS THERE ANY DISCUSSION

BETH C. DRAIN, CA CSR NO. 7152

1 AMONGST BOARD MEMBERS?

2 DR. MARTIN: JUST HAVE A TECHNICAL
3 QUESTION. IN A SITUATION WHERE IT'S AGE RELATED,
4 WHY IS AN ALLOGENEIC CELL THERAPY GOING TO BE
5 SUPERIOR TO AUTOLOGOUS CELLS?

6 DR. SAMBRANO: IT'S A GOOD QUESTION. I
7 DON'T KNOW THAT I CAN ANSWER THAT.

8 DR. MARTIN: BECAUSE IT'S PROBABLY NOT
9 GENETICALLY DETERMINED. EVEN IF IT IS, YOU NEED A
10 COUPLE OF YEARS.

11 DR. JUELSGAARD: COST.

12 MR. SHEEHY: ADDITIONAL DISCUSSION?
13 PUBLIC COMMENT? CAN WE CALL THE ROLL PLEASE.

14 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

15 DR. DULIEGE: YES.

16 MS. BONNEVILLE: STEVE JUELSGAARD.

17 MR. JUELSGAARD: YES.

18 MS. BONNEVILLE: DAVE MARTIN.

19 DR. MARTIN: YES.

20 MS. BONNEVILLE: LAUREN MILLER. ADRIANA
21 PADILLA.

22 DR. PADILLA: YES.

23 MS. BONNEVILLE: FRANCISCO PRIETO.

24 DR. PRIETO: AYE.

25 MS. BONNEVILLE: AL ROWLETT.

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MR. ROWLETT: YES.

MS. BONNEVILLE: JEFF SHEEHY.

MR. SHEEHY: YES.

MS. BONNEVILLE: OS STEWARD.

DR. STEWARD: YES.

MS. BONNEVILLE: JONATHAN THOMAS.

CHAIRMAN THOMAS: YES.

MS. BONNEVILLE: ART TORRES.

MR. TORRES: AYE.

MS. BONNEVILLE: DIANE WINOKUR.

MS. WINOKUR: YES.

MS. BONNEVILLE: LAUREN.

MOTION CARRIES.

MR. SHEEHY: THE MOTION CARRIES. AND I BELIEVE THAT CONCLUDES THE BUSINESS OF THE APPLICATION REVIEW SUBCOMMITTEE.

MR. TOCHER: ACTUALLY, JEFF, WE HAVE JUST ONE LAST MOTION TO CLOSE OUT THE APPLICATIONS IN TIER II. IF WE COULD JUST HAVE A MOTION FROM SOMEONE WHO ISN'T OS STEWARD TO NOT FUND ALL REMAINING APPLICATIONS, THAT WILL BE MADE AND SECONDED BY SOMEONE ELSE. AND, OS, YOU CAN PARTICIPATE EXCEPT FOR THOSE WITH WHICH YOU HAVE A CONFLICT.

MR. SHEEHY: GREAT. COULD I GET SOMEONE

BETH C. DRAIN, CA CSR NO. 7152

1 TO MAKE THE MOTION TO CLOSE.

2 MR. TORRES: MOVE IT.

3 DR. PRIETO: SECOND.

4 MR. SHEEHY: ANY BOARD COMMENT? ANY
5 PUBLIC COMMENT? CAN WE CALL THE ROLL.

6 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

7 DR. DULIEGE: YES.

8 MS. BONNEVILLE: STEVE JUELSGAARD.

9 MR. JUELSGAARD: YES.

10 MS. BONNEVILLE: DAVE MARTIN.

11 DR. MARTIN: YES.

12 MS. BONNEVILLE: LAUREN MILLER. ADRIANA
13 PADILLA.

14 DR. PADILLA: YES.

15 MS. BONNEVILLE: FRANCISCO PRIETO.

16 DR. PRIETO: AYE.

17 MS. BONNEVILLE: AL ROWLETT.

18 MR. ROWLETT: YES.

19 MS. BONNEVILLE: JEFF SHEEHY.

20 MR. SHEEHY: YES.

21 MS. BONNEVILLE: OS STEWARD.

22 DR. STEWARD: YES EXCEPT FOR THOSE WITH
23 WHICH I'M IN CONFLICT.

24 MS. BONNEVILLE: JONATHAN THOMAS.

25 CHAIRMAN THOMAS: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. BONNEVILLE: ART TORRES.

2 MR. TORRES: AYE.

3 MS. BONNEVILLE: DIANE WINOKUR.

4 MS. WINOKUR: YES.

5 MS. BONNEVILLE: MOTION CARRIES.

6 MR. SHEEHY: GREAT. NOW THAT CONCLUDES
7 THE BUSINESS OF THE APPLICATION REVIEW SUBCOMMITTEE.

8 CHAIRMAN THOMAS: THANK YOU, MR. SHEEHY.

9 LAST ITEM ON THE AGENDA IS -- LISA, ARE
10 YOU IN HERE? COULD SOMEBODY SEE IF WE COULD GET --
11 HERE SHE COMES. WE'RE GOING TO HAVE A CLINICAL
12 PROGRAM UPDATE BY DR. KADYK.

13 DR. KADYK: THANK YOU, DR. THOMAS AND
14 MEMBERS OF THE BOARD AND MEMBERS OF THE PUBLIC, AND
15 FELLOW TEAM MEMBERS. I HAVE THE HONOR TODAY TO
16 REPRESENT THE THERAPEUTICS TEAM TO GIVE THE CLINICAL
17 UPDATE. TODAY WE'RE GOING SO BE FOCUSING ON THE
18 CIRM HEMOGLOBINOPATHY PROGRAMS, WHICH IS AN AREA
19 THAT'S ACTUALLY GROWN QUITE A BIT. JUST IN THE PAST
20 YEAR, WE'VE HAD FIVE NEW AWARDS IN VARIOUS STAGES
21 FROM TRANSLATION UP THROUGH CLINICAL TRIALS IN THIS
22 AREA. AND IT'S ACTUALLY A GOOD EXAMPLE OF HOW SOME
23 OF THESE THERAPIES THAT WE'RE FUNDING COULD
24 POTENTIALLY BE CURATIVE AND ALSO IMPACT DISEASES
25 BEYOND THE DISEASES THAT ARE BEING INVESTIGATED

1 HERE.

2 SO BASICALLY THREE MESSAGES THAT I WANT TO
3 GET ACROSS TODAY. THE FIRST IS THAT THERE'S A HUGE
4 UNMET NEED FOR TREATING THESE HEMOGLOBINOPATHIES.
5 SECOND, CIRM IS FUNDING A NUMBER OF DIFFERENT
6 APPROACHES TO TREATING THESE, ANY ONE OF WHICH WOULD
7 NOT ONLY TREAT, BUT POTENTIALLY CURE THESE DISEASES.
8 SO THEY'RE OF HUGE IMPACT. AND, THIRD, IF THEY'RE
9 SUCCESSFUL, THEY COULD EASILY BE ADAPTED TO TREATING
10 A HUGE NUMBER OF OTHER GENETIC DISEASES OF THE
11 BLOOD. SO IT'S A REALLY, I THINK, IMPORTANT SET OF
12 AWARDS THAT WE'RE FUNDING HERE.

13 SUFFICE IT TO SAY I THINK THAT THIS
14 PROGRAM IS A GREAT EXAMPLE OF HOW CIRM IS
15 ACCELERATING STEM CELL TREATMENTS OR EVEN CURES TO
16 PATIENTS WITH UNMET MEDICAL NEEDS.

17 BEFORE I GET INTO HEMOGLOBINOPATHIES, I
18 JUST WANT TO GIVE YOU A BRIEF OVERVIEW OF THE WHOLE
19 THERAPEUTIC PORTFOLIO AS IT STANDS TODAY. SO YOU
20 CAN SEE OUR CANONICAL PIE CHART WITH ALL THE VARIOUS
21 DIFFERENT DISEASE AREAS THAT WE'RE FUNDING. WE
22 FUNDED 49 CLINICAL TRIALS TO DATE SINCE THE
23 INCEPTION OF CIRM. THIS PIE CHART ALSO INCLUDES 12
24 AWARDS THAT ARE AT THE SO-CALLED CLIN1 OR
25 IND-ENABLING STAGE. SO IND IS THE INVESTIGATIONAL

BETH C. DRAIN, CA CSR NO. 7152

1 NEW DRUG APPLICATION THAT'S REQUIRED TO BE FILED
2 WITH THE FDA IN ORDER TO DO A CLINICAL TRIAL.

3 AND SO TODAY I'M GOING TO BE TALKING ABOUT
4 THE GREEN SLICE OF THE PIE WHICH IS WHERE THE
5 HEMOGLOBINOPATHIES FIT IN THE BLOOD DISEASES.

6 SO WANT TO FIRST GIVE A LITTLE BACKGROUND
7 FOR THOSE OF YOU WHO MAY NOT BE AS FAMILIAR WITH
8 THESE DISEASES. THEY'RE A FAMILY OF SEVERE OR FATAL
9 DISEASES THAT ARE ALL CAUSED BY DEFECTS IN THE
10 HEMOGLOBIN MOLECULE. AND THE HEMOGLOBIN MOLECULE IS
11 IN THE RED BLOOD CELLS, AND IT'S THE MOLECULE THAT'S
12 RESPONSIBLE FOR CARRYING OXYGEN TO ALL THE TISSUES
13 OF YOUR BODY.

14 SO THE THREE DIFFERENT DISEASES THAT ARE
15 IN THIS FAMILY THAT I'M GOING TO TALK ABOUT TODAY
16 ARE SICKLE CELL DISEASE, WHICH, OF COURSE, WAS
17 DISCUSSED EARLIER TODAY AND I'LL GO INTO A LITTLE
18 MORE DETAIL ABOUT THE PROGRAMS THAT WE'RE ALREADY
19 FUNDING THERE. IT'S CAUSED BY A DEFECTIVE FORM OF
20 THAT BETA HEMOGLOBIN MOLECULE.

21 BETA THALASSEMIA IS CAUSED BY TOO LITTLE
22 OF THE BETA HEMOGLOBIN SUBUNIT, AND ALPHA
23 THALASSEMIA IS CAUSED BY TOO LITTLE OF THE ALPHA
24 HEMOGLOBIN SUBUNIT.

25 SO SICKLE CELL DISEASE. IT'S A HUGE UNMET

BETH C. DRAIN, CA CSR NO. 7152

1 NEED. IT AFFECTS AROUND 100,000 PEOPLE IN THE U.S.
2 AND INCLUDING ABOUT 7,000 PEOPLE IN CALIFORNIA.
3 IT'S MUCH MORE HIGHLY PREVALENT IN AFRICA, AND
4 THERE'S VERY HIGHER RATES IN AFRICAN-AMERICANS AND
5 HISPANICS. SO AS I MENTIONED, IT'S A DEFECT IN THE
6 BETA GLOBIN GENE. AND YOU CAN SEE ON MY DIAGRAM,
7 IT'S CALLED THE SICKLE FORM OF THE BETA HEMOGLOBIN
8 GENE BECAUSE IT CAUSES THE NORMALLY ROUND RED BLOOD
9 CELLS TO FORM THIS SICKLE SHAPE, CAUSES THE RED
10 BLOOD CELLS TO DIE EARLY, WHICH CAUSES ANEMIA, BUT
11 ALSO THAT SHAPE TENDS TO CLOG THE SMALLER BLOOD
12 VESSELS, WHICH BASICALLY CUTS OFF THE OXYGEN SUPPLY
13 TO TISSUES AND ORGANS. AND IN ADDITION TO THE
14 SEVERE ANEMIA, THERE'S ALSO SEVERE PAIN CRISES THAT
15 OCCUR AND STROKE AND ORGAN DAMAGE THAT CAN RESULT
16 FROM THIS DISEASE.

17 THERE ARE SOME TREATMENTS THAT ARE OUT
18 THERE NOW THAT PATIENTS CAN USE, BUT THEY'RE NOT
19 CURATIVE. AND SO THERE IS A SHORTENED AVERAGE LIFE
20 SPAN FOR PATIENTS IN THE U.S., AROUND 40 YEARS ON
21 AVERAGE. THAT SAID, THAT'S WAY LONGER THAN IN
22 AFRICA WHERE TYPICALLY CHILDREN DIE WHEN THEY'RE
23 FIVE OR SIX YEARS OLD.

24 SO HIGHLIGHT TODAY A LITTLE MORE DETAIL
25 ABOUT FOUR OF OUR SICKLE CELL PROGRAMS. TWO ARE

BETH C. DRAIN, CA CSR NO. 7152

1 PHASE 1/2, TWO ARE IN THE PRECLINICAL STAGES. SO
2 DR. KOHN AT UCLA, DR. ROSENTHAL AT CITY OF HOPE, DR.
3 PORTEUS AT STANFORD, AND DR. WALTERS AT CHILDREN'S
4 HOSPITAL.

5 BEFORE WE TALK ABOUT THAT, BETA
6 THALASSEMIA IS ALSO CAUSED BY A DEFECT IN THAT BETA
7 SUBUNIT OF HEMOGLOBIN. IT ALSO CAUSES SEVERE
8 LIFELONG ANEMIA, REQUIRING FREQUENT BLOOD
9 TRANSFUSIONS WHICH IN AND OF THEMSELVES CAN CAUSE
10 MORBIDITIES. AND, AGAIN, PATIENTS WITH THIS DISEASE
11 HAVE A SHORTENED LIFE SPAN. AND WE HAVE RECENTLY
12 LAUNCHED A CLINICAL TRIAL SPONSORED BY ED CONNER AND
13 SANGAMO TO TRY AND TREAT BETA THALASSEMIA.

14 AND, FINALLY, ALPHA THALASSEMIA IS THE
15 VERSION IN WHICH THE ALPHA SUBUNITS ARE DEFECTIVE.
16 THIS IS A LITTLE BIT MORE OF A RARE DISEASE. IT'S
17 PARTLY, I THINK, BECAUSE OF MANY OF THE CASES ARE
18 MISSED BECAUSE FETUSES DIE IN UTERO BEFORE THEY'RE
19 EVEN BORN OF HEART FAILURE OR MAY BE TERMINATED
20 BECAUSE OF POOR PROGNOSIS. IN ORDER FOR AN ALPHA
21 THALASSEMIA PATIENT TO SURVIVE, THEY HAVE TO, AGAIN,
22 HAVE THESE REGULAR RED BLOOD CELL TRANSFUSIONS WHICH
23 CAUSE COMORBIDITIES.

24 SO I'LL TALK TO YOU ALSO ABOUT DR. TIPPI
25 MACKENZIE'S TRIAL AT UCSF TO ADDRESS THIS

BETH C. DRAIN, CA CSR NO. 7152

1 THALASSEMIA. SO I CATEGORIZED THE VARIOUS
2 CIRM-FUNDED APPROACHES INTO THREE MAJOR CATEGORIES.
3 I FORGOT TO MENTION ONE CRITICAL THING, WHICH IS
4 THAT ALL OF THESE DISEASES CAN BE CURED TODAY, BUT
5 THE CURE IS A BONE MARROW TRANSPLANT OR A
6 HEMATOPOIETIC STEM CELL TRANSPLANT. AND THE PROBLEM
7 IS, FIRST OF ALL, IT'S VERY HARD TO FIND AN
8 APPROPRIATE DONOR FOR THESE PATIENTS. YOU WANT TO
9 HAVE AN IMMUNE MATCH. AND WITHOUT A GOOD MATCH,
10 TYPICALLY THERE ISN'T A GOOD MATCH. EVEN IF THERE
11 IS, THE CONDITIONING REGIMEN FOR A BONE MARROW
12 TRANSPLANT IS VERY TOXIC IN AND OF ITSELF WITH A
13 RISK OF DEATH. AND MANY OF THESE PATIENTS ARE TOO
14 SICK TO EVEN UNDERGO THE PROCEDURE.

15 SO ALL OF THESE APPROACHES THAT I'M GOING
16 TO TELL YOU ABOUT TODAY ARE WAYS TO MAKE A KINDER,
17 GENTLER BONE MARROW TRANSPLANT SO THAT MANY MORE
18 PATIENTS WOULD BE ABLE TO UNDERGO THE TRANSPLANT AND
19 HAVE IT BE CURATIVE.

20 SO WE HAVE TWO AWARDS WHICH I BEND INTO
21 THE CATEGORY OF HAVING A HALF-MATCHED OR RELATED
22 DONOR TRANSPLANT. ONE IN WHICH THERE'S MORE
23 TRADITIONAL GENE THERAPY, WHICH IS GENE ADDITION TO
24 THE PATIENT'S OWN BLOOD STEM CELLS. AND THEN,
25 THREE, EARLIER STAGE AWARDS IN WHICH THEY'RE LOOKING

BETH C. DRAIN, CA CSR NO. 7152

1 AT GENE EDITING OF PATIENT'S OWN BLOOD STEM CELLS TO
2 CURE THE DISEASES.

3 SO FOR THE HALF-MATCHED TRANSPLANT, WE'VE
4 GOT AN AWARD TO JOSEPH ROSENTHAL AT CITY OF HOPE.
5 HE'S GOT A PHASE 1 TRIAL THAT STARTED RECENTLY. AND
6 THE IDEA HERE IS THAT BY DOING A TRANSPLANT WITH A
7 DONOR SUCH AS A SIBLING OR A PARENT WHICH HAS
8 PARTIAL MATCH, IT'S BEEN SHOWN THAT YOU CAN GET
9 COEXISTENCE OF BOTH THE DONOR AND RECIPIENT CELLS
10 WITHOUT HAVING GRAFT VERSUS HOST DISEASE OR OTHER
11 SORTS OF MORBIDITIES. THEY CAN CO-EXIST. THE DONOR
12 CELLS CAN CURE THE DISEASE. AND THE BEAUTY IS THAT
13 THERE'S A MILDER CONDITIONING METHOD USED TO
14 GENERATE THESE SO-CALLED MIXED CHIMERAS SO THAT
15 OLDER PATIENTS WHO'VE ALREADY GOT MORE SEVERE
16 DISEASE COULD POTENTIALLY BE TREATED. SO HIS TRIAL
17 IS UNDER WAY, AND THEY'RE LOOKING AT SAFETY AS WELL
18 AS POTENTIAL EFFICACY.

19 THE SECOND TRIAL I'M GOING TO TELL YOU
20 ABOUT IS A VARIATION ON THE THEME. IT'S ALSO A
21 HALF-MATCHED BLOOD CELL TRANSPLANT, BUT IT'S DONE IN
22 UTERO. THIS IS BY TIPPI MACKENZIE AT UCSF. AND
23 HERE SHE IS USING MATERNAL BLOOD CELLS TO TRANSPLANT
24 INTO THE FETUS BEFORE IT'S BORN IN ORDER TO CURE THE
25 DISEASE. AND THE BEAUTY HERE IS THAT YOU DON'T NEED

1 TO CONDITION THE FETUS. THE IMMUNE SYSTEM IS STILL
2 BEING DEVELOPED, SO IT'S NOT LIKELY TO REJECT THE
3 MATERNAL CELLS. IF THERE'S SUFFICIENT ENGRAPHMENT
4 OF THE MATERNAL CELLS, IT MAY CURE THE DISEASE. AND
5 FAILING THAT, IT STILL MAY BE POSSIBLE AFTER BIRTH
6 TO DO A TRANSPLANT FROM THE MOTHER WHICH WOULD
7 REQUIRE MUCH Milder CONDITIONING BECAUSE THE FETUS
8 WAS ALREADY EXPOSED TO THE MATERNAL ANTIGENS IN
9 UTERO.

10 AGAIN, THIS IS A PHASE 1/2 LOOKING
11 PRIMARILY AT SAFETY, BUT ALSO THE FEASIBILITY AND
12 EFFICACY OF THIS TREATMENT.

13 THE NEXT CATEGORY IS THE GENE ADDITION TO
14 PATIENT'S OWN STEM CELLS. YOU'RE PRETTY FAMILIAR
15 WITH THIS APPROACH FROM DON KOHN. OF COURSE, EVIE
16 UP THERE ON THE WALL WAS CURED BY DON KOHN OF ADA
17 SKID USING A VERY SIMILAR APPROACH OF TAKING OUT THE
18 PATIENT'S BLOOD STEM CELLS, ADDING IN A NORMAL COPY
19 OF THE GENE, AND THEN REPLACING THE STEM CELLS TO
20 CURE THE DISEASE. SO WORKING ON THAT FOR SICKLE
21 CELL.

22 AND, FINALLY, THERE'S A FEW GROUPS THAT
23 ARE WORKING ON GENE EDITING OF PATIENT'S STEM CELLS.
24 THIS IS SIMILAR TO THE GENE THERAPY APPROACH THAT I
25 JUST DESCRIBED EXCEPT IT'S USING SPECIAL ENZYMES TO

BETH C. DRAIN, CA CSR NO. 7152

1 ACTUALLY EDIT THE PATIENT'S DNA TO CORRECT IT. SO
2 THE APPROACH THAT SANGAMO THERAPEUTICS USES IS ZINC
3 FINGER NUCLEASES TO DO THE GENE EDITING. AND IN
4 THEIR CASE THEY'RE FINDING A WAY TO INDUCE A
5 DIFFERENT FORM OF HEMOGLOBIN THAT CAN REPLACE THE
6 ONE THAT'S MISSING, THE FETAL HEMOGLOBIN. SO THAT'S
7 A SAFETY STUDY THAT'S JUST INITIATED.

8 MATT PORTEUS AT STANFORD IS FINISHING UP A
9 CLIN1 AWARD, SO IND-ENABLING SAFETY STUDIES AND
10 MANUFACTURING FOR A CLINICAL TRIAL, AND IS PLANNING
11 TO FILE AN IND APPLICATION SOON. HE IS USING,
12 INSTEAD OF ZINC FINGERS, HE'S USING THE NEW
13 CRISPR-CAS9 GENE EDITING METHOD TO CORRECT THE
14 ACTUAL DEFECTIVE GENE IN THE PATIENT'S BLOOD STEM
15 CELLS.

16 AND SIMILARLY, MARK WALTERS AT CHILDREN'S
17 HOSPITAL OAKLAND IS ALSO USING CRISPR-CAS9 TO
18 CORRECT THE PATIENT'S OWN BLOOD STEM CELLS. HE'S AT
19 THE TRANSLATIONAL STAGE AND IS GETTING READY TO HOLD
20 A PRE-IND MEETING WITH THE FDA.

21 SO I MENTIONED TIPPI MACKENSIE. SHE'S
22 DOING THESE IN-UTERO TREATMENTS. SO HOW DO YOU KNOW
23 THAT THE BABY HAS THIS DISEASE BEFORE YOU DO THE
24 TREATMENT? SO THIS IS A COMPLEMENTARY AWARD. I
25 THINK THAT'S REALLY APPROPRIATE FOR THIS PORTFOLIO

BETH C. DRAIN, CA CSR NO. 7152

1 IS AN AWARD TO CASSANDRA CALLOWAY AT CHILDREN'S
2 HOSPITAL WHO IS DEVELOPING A NEW, NONINVASIVE WAY OF
3 DIAGNOSING THESE HEMOGLOBINOPATHIES.

4 TYPICALLY NOW IT REQUIRES AMNIOCENTESIS OR
5 CHORIONIC VILLI SAMPLING WHICH PUTS THE FETUS AT
6 RISK. AND THIS METHOD SHE'S DEVELOPING WOULD BE
7 BASICALLY TAKING A BLOOD SAMPLE FROM THE MOTHER AND
8 SEQUENCING THE FETAL DNA THAT IS CIRCULATING WITHIN
9 THE MOTHER -- IT'S PRETTY AMAZING -- TO SCREEN FOR
10 MUTATIONS. AND THIS TYPE OF A DIAGNOSTIC WOULD BE
11 INVALUABLE FOR AN APPROACH SUCH AS DR. MACKENSIE'S
12 AT UCSF.

13 SO THAT'S OUR CURRENT PORTFOLIO. I WANT
14 TO REITERATE THE MAIN THREE TAKE-HOME MESSAGES HERE,
15 WHICH IS, FIRST OF ALL, THESE HEMOGLOBINOPATHIES ARE
16 A MAJOR UNMET NEED. THERE ARE MULTIPLE APPROACHES
17 TO A CURE THAT ARE BEING INVESTIGATED WITH CIRM
18 FUNDING. AND ANY OF THESE APPROACHES COULD LEAD TO
19 SAFER TREATMENTS AND MAKE THEM MORE AVAILABLE TO
20 MANY, MANY MORE PATIENTS.

21 AND, FINALLY, SUCCESS WITH THESE METHODS
22 COULD TRANSLATE TO OTHER GENETIC BLOOD DISEASES AND
23 REALLY HAVE A MUCH BROADER IMPACT THAN JUST THE
24 HEMOGLOBINOPATHIES.

25 AND WITH THAT, I'M GOING TO INTRODUCE AN

BETH C. DRAIN, CA CSR NO. 7152

1 ACTUAL SICKLE CELL DISEASE PATIENT. BUT ARE THERE
2 ANY QUESTIONS?

3 DR. DULIEGE: VERY IMPRESSIVE. FOR THE
4 PHASE 1/2, PRELIMINARY COMMENTS?

5 DR. KADYK: I'M NOT AT LIBERTY TO
6 DISCLOSE. THEY ARE ENROLLING. I CAN SAY THAT, BUT
7 I DON'T HAVE ANY RESULTS FOR YOU THAT I CAN SHARE.

8 OKAY. NO OTHER QUESTIONS? IS MARISSA
9 HERE? I'D LIKE TO INTRODUCE MARISSA COORS, ADRIENNE
10 BELL COORS, ADRIENNE SHAPIRO'S DAUGHTER. MARISSA
11 COORS. I'LL GIVE YOU THE PODIUM.

12 MS. COORS: HI. HOW ARE YOU? SORRY.
13 EXCUSE ME. LOTION.

14 MY NAME IS MARISSA COORS. I HAVE SICKLE
15 CELL DISEASE. YOU ALL KNOW MY MOTHER, ADRIENNE
16 SHAPIRO OR ADRIENNE BELL COORS. I'M HERE. I EXIST.
17 I'M THE ONE SHE'S ALWAYS TALKING ABOUT. SHE'S ALSO
18 A MEMBER OF TWO OF YOUR CLINICAL ADVISORY PANELS.
19 AND I REALLY JUST WANT SO SAY, FIRST OF ALL, THANK
20 YOU. THANK YOU FOR SUPPORTING AND FUNDING AND JUST
21 EVEN THINKING ABOUT SICKLE CELL. THAT JUST STARTS
22 OFF. THANKS FOR THAT. IT'S A LITTLE THING, THE
23 THINKING ABOUT US PART. THE FUNDING IS A MASSIVE
24 THING. BUT AS SOMEONE WHO'S LIVED WITH THIS DISEASE
25 41 YEARS, IT'S NOT BEEN UNTIL THE LAST THREE THAT

BETH C. DRAIN, CA CSR NO. 7152

1 WHEN I TELL SOMEBODY I HAVE SICKLE CELL, THAT, A,
2 THEY EVEN KNOW THAT IT EXISTS, LET ALONE HAVE THEIR
3 FACES LIGHT UP AND SAY, "OH, THAT DISEASE IS VERY
4 IMPORTANT." AND I BELIEVE IT'S ALL BECAUSE OF THE
5 WORK YOU'RE DOING IN THIS ROOM.

6 THAT'S NOT MY SPEECH. THAT WAS JUST
7 SOMETHING I WANTED TO RELAY. TO MY SPEECH.

8 LIKE I SAID, I'M 41 YEARS OLD. I'VE HAD
9 SICKLE CELL MY ENTIRE LIFE. I WAS DIAGNOSED AT SIX
10 MONTHS, AND I'VE BEEN HOSPITALIZED SO MANY TIMES
11 THAT MY MEDICAL REPORT IS ACTUALLY 8 GIGABYTES.
12 THAT'S ONLY UP UNTIL THE AGE OF 16. MY DOCTOR HAS
13 OVER A THOUSAND PAGES OF MEDICAL NOTES ON ME.
14 THAT'S ONLY AFTER THE AGE OF 28. SO THAT GIVES YOU
15 AN IDEA OF HOW MANY TIMES I SEE MY DOCTOR AND MY
16 HOSPITAL.

17 WHEN YOU HAVE SICKLE CELL, YOU DEAL WITH
18 PAIN, A LOT OF PAIN. PAIN COMES IN TWO SEPARATE
19 AVENUES. YOU HAVE CHRONIC PAIN AND YOU HAVE ACUTE
20 PAIN. THE CHRONIC PAIN IS AN EVERYDAY THING. FOR
21 INSTANCE, MY RIGHT KNEE, MY LEFT SHOULDER, MY LOWER
22 BACK, AND I HAVE HEADACHES EVERY SINGLE DAY. RIGHT
23 NOW AS I'M SPEAKING TO YOU. I TAKE MEDICATIONS, OF
24 COURSE, TO MANAGE ALL OF THAT. AND ALL OF THAT IS A
25 RESULT OF A LIFETIME OF CRISIS. THE CRISIS IS AN

BETH C. DRAIN, CA CSR NO. 7152

1 ACUTE PAIN, AND THAT PAIN IS SOMETHING THAT YOU
2 WOULD NOT WISH ON THE DEVIL OR YOUR WORST ENEMY. IT
3 IS A HORRIBLE, DEBILITATING EXPERIENCE THAT AFFECTS
4 YOU, NOT JUST PHYSICALLY, BUT MENTALLY, EMOTIONALLY,
5 AND SPIRITUALLY. AND IT IS SOMETHING THAT YOU
6 CANNOT REALLY PUT INTO WORDS, BUT YOU TRY.

7 IT'S THAT PAIN THAT WE DEAL WITH THE MOST.
8 IT'S THAT PAIN THAT WE HAVE TO MANAGE, NOT JUST
9 OURSELVES, BUT EVERYONE AROUND US THE MOST. WHEN
10 YOU'RE IN A CRISIS -- WHEN YOU ARE IN A CRISIS AND
11 YOU JUST HAVE SICKLE CELL, YOU'RE DEALING WITH ACUTE
12 AND CHRONIC PAIN, YOU'RE IN A CONSTANT STATE OF
13 LIMBO. JUST PERIOD, YOU'RE IN A CONSTANT STATE OF
14 LIMBO. YOU ARE DEALING WITH SOMETHING THAT IS NOT
15 YOUR FAULT, BUT IS YOUR RESPONSIBILITY. IT'S NOT
16 YOUR FAULT, BUT YOUR RESPONSIBILITY.

17 SO WHAT ENDS UP HAPPENING IS I'VE BEEN
18 ASKED TO TALK TO YOU ABOUT WHAT HAPPENS WHEN YOU
19 HAVE SICKLE CELL. WHAT DOES IT MEAN? WHAT IT MEANS
20 IS THAT YOU'RE DEALING WITH SOMETHING WITHIN YOUR
21 BODY THAT CAN TAKE CONTROL AT ANY TIME. THE PAIN
22 COMES. YOU DON'T KNOW FOR HOW LONG. YOU DON'T KNOW
23 HOW MUCH PAIN YOU'RE GOING TO BE IN. YOU DON'T KNOW
24 HOW MUCH PAIN YOU CAN DEAL WITH AT HOME BEFORE
25 YOU'RE SENT TO THE HOSPITAL. WHEN YOU GET TO THE

BETH C. DRAIN, CA CSR NO. 7152

1 HOSPITAL, YOU DON'T KNOW WHO'S GOING TO TAKE CARE OF
2 YOU. YOU DON'T KNOW IF THEY'RE GOING TO BELIEVE
3 YOU. YOU DON'T KNOW IF THEY'RE GOING TO GIVE YOU
4 THE MEDICATIONS THAT YOU'RE TELLING THEM THAT YOU
5 NEED, THAT YOUR 31 YEARS OF RECORDS SAY YOU GET AND
6 YOU TOLERATE. YOU HAVE NO IDEA HOW LONG YOU ARE
7 GOING TO WAIT IN THE ER. AND WHEN YOU GET TO THE
8 DOCTORS, AND YOU DO ALL OF THIS TALKING, YOU STILL
9 HAVE NO CLUE WHAT THE RESULT IS. IT'S SCARY.

10 SO YOU'RE WAITING FOR YOUR PAIN TO COME.
11 WHEN IT GETS TO YOU, YOU'RE TRYING TO FIGURE OUT HOW
12 LONG IT PLANS ON STAYING. WHILE YOU'RE TRYING TO
13 MANAGE IT, YOU'RE WONDERING IF THE PEOPLE YOU'RE
14 SPEAKING TO BELIEVE YOU. IF THEY BELIEVE YOU, DO
15 THEY BELIEVE YOU ENOUGH TO DO SOMETHING ABOUT IT?
16 IF THEY FEEL THAT THEY'RE GOING TO DO SOMETHING
17 ABOUT IT, ARE THEY GOING TO FOLLOW YOUR ADVICE, YOUR
18 RECORDS, YOUR FACTS BECAUSE THIS IS YOUR BODY, YOUR
19 ILLNESS, YOUR LIFE, OR ARE THEY GOING TO DO WHATEVER
20 THEY FEEL LIKE DOING? THEY'RE GOING TO DO THE
21 LATTER NINE TIMES OUT OF TEN, WHATEVER THEY FEEL
22 LIKE DOING. LIMBO.

23 WHEN YOU GET PAIN MEDICATIONS, OFTENTIMES
24 YOU'RE BEING TOLD WE DON'T DO THIS FOR OTHER PEOPLE.
25 IT'S REALLY NOT SOMETHING THAT WE DO WHEN PATIENTS

BETH C. DRAIN, CA CSR NO. 7152

1 COME AND SEE US. THIS AMOUNT OF MEDICATION YOU ARE
2 ASKING FOR IS NOT SOMETHING I'M COMFORTABLE WITH.
3 OKAY. AND THEN THERE'S A PAUSE. AND THE QUESTION
4 IS ALWAYS WHY ARE YOU UNCOMFORTABLE? I'M THE
5 PATIENT. MY LIFE STOPPED. MY MOTHER IS AT HOME OR
6 IN A MEETING, CRYING, TRYING TO HOLD BACK TEARS. MY
7 SISTER IS TRYING TO GET THROUGH FINALS. I'M A
8 48-YEAR-OLD WOMAN IN TEARS TRYING TO FIGHT FOR
9 MYSELF ALONE IN A HOSPITAL ROOM, IN A HOSPITAL BED,
10 SURROUNDED BY ALL THESE EDUCATED PEOPLE, ALL OF
11 THESE DOCTORS, ALL OF THESE NURSES, NONE OF WHOM
12 UNDERSTAND WHAT I'M GOING THROUGH.

13 AND I AM EXPECTED TO TAKE RESPONSIBILITY,
14 NOT JUST FOR MY OWN PERSONAL PAIN, NOT JUST FOR MY
15 BEHAVIOR, BUT I AM EXPECTED TO TAKE RESPONSIBILITY
16 FOR THEIR WORK, MEANING THE PAIN MEDICATION OR THE
17 TREATMENTS THEY WILL OR WILL NOT GIVE ME. I'M
18 EXPECTED TO MANAGE THEIR PERSONALITIES AND HOW THEY
19 WORK WITH EACH OTHER AS WELL AS HOW THEY WORK WITH
20 ME. I'M EXPECTED TO ALWAYS BE NICE, ALWAYS SMILE,
21 ALWAYS BE POISED EVEN THROUGH THE PAIN, AND ALWAYS
22 LEAD EVEN THOUGH I'M CONSTANTLY BEING TOLD YOU'RE
23 NOT A DOCTOR, YOU'RE NOT A NURSE. WHERE IS YOUR
24 TRAINING?

25 MY LIFE IS MY TRAINING. MY EXPERIENCES

BETH C. DRAIN, CA CSR NO. 7152

1 ARE MY TRAINING. THE FOUR GENERATIONS THAT CAME
2 BEFORE ME IN MY FAMILY, THAT'S MY TRAINING. YET
3 NONE OF THAT IS ENOUGH BECAUSE WHEN YOU HAVE SICKLE
4 CELL AND YOU DEAL WITH PAIN, YOU ARE NOT SEEN AS A
5 PERSON, YOU'RE NOT SEEN AS A PATIENT. ONCE YOU
6 BECOME AN ADULT, YOU KNOW WHAT YOU ARE? DRUG
7 SEEKING LIAR, A DRUG ADDICT. SOMEONE WHO IS NOT
8 WORTHY OF THE MEDICAL TRAINING, THE RESOURCES, AND
9 THE TIME THAT THE MEDICAL STAFF WILL SPEND ON YOU IN
10 A HOSPITAL, IN AN ER, IN A DOCTOR'S OFFICE, AT A
11 CANCER CENTER. YOU'RE NONE OF THOSE THINGS.

12 AND YET, AND YET, IT'S ALWAYS A CONSTANT
13 CYCLE OF, WELL, WHAT DO THEY USUALLY DO? WHAT DO
14 YOU USUALLY DO WHEN YOU HAVE THIS PROBLEM? THIS
15 PROBLEM, LIKE YOU BROKE YOUR SHOE. WHATEVER. IT'S
16 FRUSTRATING. IT'S UPSETTING. IT'S HURTFUL. AND
17 YET YOU HAVE TO FIND HOPE THAT THE NEXT DOCTOR, THE
18 NEXT NURSE, THE NEXT SOCIAL WORKER, THE NEXT BOARD
19 WILL DO BETTER, WILL LISTEN TO YOU, WILL LISTEN TO
20 THE HUNDREDS OF PEOPLE THAT CAME BEFORE YOU, WILL
21 CARE.

22 I KNOW I'M SUPPOSED TO REALLY RELAY WHAT
23 IT IS TO SORT OF LIVE WITH THIS THING. I KNOW
24 THAT'S WHAT I'M SUPPOSED TO DO UP HERE, BUT CAN I
25 JUST SAY OFF SCRIPT, THEY ALREADY KNOW, WHEN I WAS

BETH C. DRAIN, CA CSR NO. 7152

1 ABOUT FOUR MY MOTHER TOLD ME, I WOKE UP IN THE
2 MIDDLE OF THE NIGHT. FOR SOME REASON SICKLE CELL
3 PATIENTS ALWAYS GO TO THE HOSPITAL AT TWO IN THE
4 MORNING. DON'T KNOW WHY. TWO IN THE MORNING, NEVER
5 FAILS. 3 A.M., NEVER FAILS. YOU'RE WAKING UP THE
6 WHOLE HOUSE, THE WHOLE NEIGHBORHOOD.

7 I WAS A LITTLE GIRL, MAYBE ABOUT THREE,
8 FOUR, AND I GOT SICK IN THE MIDDLE OF THE NIGHT, 2
9 O'CLOCK IN THE MORNING, AND I HAD TO WAKE UP MY MOM.
10 AND MY MOTHER, BEING MY MOTHER, IT WAS A LEARNING
11 MOMENT. DIDN'T MATTER I WAS IN PAIN. I HAD TO
12 LEARN SOMETHING. AND WHAT SHE SAID TO ME WAS, WHEN
13 YOU SAY, "MOMMY, MOMMY, WE HAVE TO GO, WHAT ARE YOU
14 REALLY SAYING?" LIKE WE GOT TO GO. SHE SAYS, "NO.
15 THIS IS WHAT YOU'RE DOING. MOM HAS TO GET UP AT
16 THREE. DO WE GET UP AT THREE IN THE MORNING?" "NO,
17 MA'AM." MOM HAS TO NOW CALL DADDY BECAUSE THEY'RE
18 DIVORCED. SHE'S GOING TO WAKE DADDY UP AT 3 O'CLOCK
19 IN THE MORNING? "NO, MA'AM."

20 OKAY. "MOM HAS TO THEN MAKE SURE THAT
21 YOU'RE DRESSED, EVERYTHING IS PACKED, HAVE TO CALL
22 YOUR DOCTOR AT THREE IN THE MORNING. DO WE DO
23 THAT?" "NO, MA'AM." OKAY. "AND THEN I HAVE TO
24 CALL MY JOB AND TELL THEM I'M NOT COMING IN TODAY
25 BECAUSE YOU'RE SICK. AND THEN MY JOB HAS TO FIND

BETH C. DRAIN, CA CSR NO. 7152

1 SOMEONE TO REPLACE ME AT 3 O'CLOCK IN THE MORNING.
2 IS THIS NORMAL?" "NO, MA'AM. IT'S NOT NORMAL."
3 "THEN WE HAVE TO LEAVE OUR HOUSE AND GET
4 IN THE CAR AND DRIVE ALL THE WAY TO THE ER AT 3
5 O'CLOCK IN THE MORNING. DO WE DRIVE AT 3 O'CLOCK IN
6 THE MORNING?" "NO, MA'AM, WE DO NOT BECAUSE WE'RE
7 IN BED." "WHO DRIVES AT 3 O'CLOCK IN THE MORNING?"
8 "I DON'T KNOW. I'M FOUR. PEOPLE."

9 AND SHE SAYS, "ONCE WE GET TO THE ER, YOU
10 PUT YOUR NAME DOWN ON A LIST." "YES." "AND WE
11 WAIT." "YES." SHE SAID, "NOW WHILE WE'RE WAITING,
12 WHAT'S HAPPENING?" I'M FOUR. I DON'T KNOW. WE'RE
13 WAITING. "WHILE WE'RE WAITING, THERE ARE ALL THESE
14 DOCTORS AND ALL OF THESE NURSES WHO ARE THERE TO
15 TAKE CARE OF YOU AND THE PEOPLE BEFORE YOU AND THE
16 PEOPLE AFTER YOU. AND HOSPITALS HAVE A FINITE
17 NUMBER OF RESOURCES. DO YOU KNOW WHAT FINITE
18 MEANS?" "NO. I'M FOUR. I DON'T KNOW WHAT THAT
19 MEANS."

20 SHE SAYS, "IT MEANS THAT THERE IS A
21 LIMITED NUMBER. THERE'S A LIMITED NUMBER OF BEDS.
22 THERE'S A LIMITED NUMBER OF RESOURCES. THERE'S A
23 LIMITED NUMBER OF TIME. AND WHEN YOU PUT YOUR NAME
24 ON THAT LIST, YOU ARE SAYING THAT YOU NEED THOSE
25 RESOURCES, THAT TIME, THAT DOCTOR, THAT NURSE, AND

BETH C. DRAIN, CA CSR NO. 7152

1 THAT SPACE. AND IT ALSO MEANS THAT THE PERSON
2 BEHIND YOU IS BEING FORCED TO WAIT FOR YOU BE TO
3 DONE. SO WHAT YOU'RE DOING IS, WHEN YOU SAY, 'MOM,
4 WE GOT TO GO,' YOU ARE AFFECTING THE LIVES OF AT
5 LEAST TEN PEOPLE, AND YOU DON'T KNOW THEIR NAMES AND
6 YOU'VE NEVER SEEN THEIR FACES. SO YOU HAVE TO MAKE
7 SURE THAT YOU'RE REALLY SICK WHEN YOU SAY THOSE
8 WORDS BECAUSE THIS IS WHAT YOU'RE SETTING INTO
9 MOTION.

10 "IF YOU'RE NOT REALLY SICK, AND WE'VE DONE
11 ALL OF THAT, IF YOU DON'T REMEMBER ANYTHING ELSE,
12 REMEMBER THE HOUR THAT THAT DOCTOR AND THAT NURSE
13 HAVE SPENT ON YOU IN THE BACK WAS AN HOUR THAT YOU
14 TOOK AWAY FROM THE PERSON WHO SIGNED THEIR NAME
15 AFTER YOU. WHEREAS, IT IS NOT YOUR FAULT THAT YOU
16 ARE SICK, IT IS YOUR RESPONSIBILITY TO TAKE CARE OF
17 ALL THAT AND TO UNDERSTAND THE EFFECTS OF WHAT
18 YOU'RE DOING AND WHAT YOU'RE SAYING HAVE ON THESE
19 PEOPLE THAT YOU KNOW NOTHING ABOUT."

20 AND THAT'S SOMETHING THAT GOES THROUGH
21 LIFE. AND WHEN YOU'RE IN PAIN, CONSTANT PAIN, THAT
22 THEME CONTINUOUSLY COMES. CAN I GO ON VACATION WITH
23 THE FAMILY BECAUSE IF I GET SICK, AM I GOING TO GO?
24 ARE WE ALL GOING TO COME HOME? I PROBABLY SHOULDN'T
25 GO. CAN I GO FOR THIS JOB? WELL, THIS JOB REQUIRES

BETH C. DRAIN, CA CSR NO. 7152

1 ME TO TRAVEL, THIS JOB REQUIRES ME TO DO X, Y, AND
2 Z. IF I GET SICK, CAN I DO THOSE THINGS? MAYBE
3 NOT, SO I PROBABLY SHOULDN'T GO OUT FOR THAT JOB.

4 IF I WANT TO STUDY TO BE A DOCTOR, SHOULD
5 I BE A DOCTOR BECAUSE IN ORDER TO BE A DOCTOR, YOU
6 CAN'T BE SICK IF YOU'RE A DOCTOR BECAUSE A DOCTOR
7 TAKES CARE OF SICK PEOPLE. DOESN'T MATTER THAT YOU
8 ARE UP IN A HOSPITAL, IN A DOCTOR'S OFFICE, AND YOU
9 KNOW EXACTLY WHAT THEY DO AND HOW THEY DO IT.
10 PROBABLY NOT. THAT WOULD BE IRRESPONSIBLE OF ME.

11 DO YOU SEE? CONSTANT STATE OF QUESTIONING
12 CAN I DO IT, HOW CAN I DO IT, WHEN CAN I DO IT? IF
13 I DO THIS, IS IT RESPONSIBLE? YOU ARE CONSTANTLY
14 TAKING RESPONSIBILITY FOR OTHER PEOPLE WHILE TRYING
15 TO KEEP YOURSELF WELL, WHILE TRYING TO GET THE
16 THINGS THAT YOU NEED TO GET DONE, WHILE TRYING TO
17 MAKE SURE THAT YOU'RE STILL HERE ANOTHER DAY.
18 YOU'RE CONSTANTLY IN A STATE OF QUESTION. YOU'RE
19 CONSTANTLY IN A STATE OF JUST WAITING FOR THE OTHER
20 SHOE TO DROP AND TRYING TO FIGURE OUT HOW YOU'RE
21 GOING TO CATCH IT EVEN THOUGH YOU GOT LIKE 17 SHOES
22 OVER HERE IN THIS ARM AND 3,000 PAIR OVER HERE IN
23 THIS HAND, BUT IT DOESN'T MATTER BECAUSE YOU'RE THE
24 ONE WITH THE ILLNESS. YOU'RE THE ONE IN PAIN.
25 YOU'RE THE ONE THAT LIVES WITH THIS THING. YOU'RE

BETH C. DRAIN, CA CSR NO. 7152

1 THE ONE THAT HAS TO GO HOME WITH IT, YOU'RE THE ONE
2 THAT HAS TO GO TO BED WITH IT AND WAKE UP WITH IT IN
3 THE MORNING. AND YOU ARE THE ONLY PERSON THERE TO
4 EFFECTIVELY COMMUNICATE TO WHOMEVER IT IS THAT YOU
5 NEED TO WHAT NEEDS TO BE DONE, AND THEN HOPE THAT
6 THAT PERSON LISTENS.

7 IT IS ABSOLUTELY DRAINING, EXHAUSTING, AND
8 EMOTIONALLY DEPLETING. AND IT'S NOT SOMETHING THAT
9 YOU PUT OUT THERE BECAUSE IT'S NOT POLITE AND NO ONE
10 WANTS TO HEAR YOU WHINE. AND YOU STAND BEFORE
11 PEOPLE VERY WELL DRESSED AND WELL EDUCATED, AND THEY
12 KNOW YOUR MOM, AND THEY KNOW YOUR FAMILY HISTORY,
13 AND THEY'VE HEARD ALL THESE STORIES ABOUT YOU, BUT
14 YOU'RE NOT SUPPOSED TO SAY THINGS LIKE THAT.

15 AND I'M SAYING THINGS LIKE THAT TO YOU
16 TODAY. I JUST THINK IT IS IMPORTANT. IT'S
17 IMPORTANT THAT I STAND IN FRONT OF YOU WELL
18 EDUCATED, WELL DRESSED, AND REALLY CUTE SHOES, JUST
19 COMING FROM THE NATIONAL SICKLE CELL CONFERENCE AND
20 BEING IN A CRISIS AS WE STAND IN FRONT OF YOU IN
21 PAIN, LETTING YOU KNOW THIS IS WHAT'S GOING ON
22 INSIDE OF ME. I AM IN LIMBO. I AM EXHAUSTED. AND
23 YOUR FUNDING AND YOUR CLINICAL TRIALS AND THE FACT
24 THAT YOU ACTUALLY ALLOWED ME TO CONTINUE TO SPEAK TO
25 YOU THIS LONG CLEARLY OFF SCRIPT TELLS THAT YOU DO

BETH C. DRAIN, CA CSR NO. 7152

1 CARE, YOU DO GIVE A DAMN. AND WHAT IT WILL DO FOR
2 ALL OF THOSE CHILDREN AND PEOPLE WHO HAVE NOT EVEN
3 BEEN BORN YET AND PEOPLE WHO ARE CURE WORTHY,
4 BECAUSE I'M NOT, IT WILL GIVE THEM A CHANCE AT A
5 LIFE WHERE THEY'RE NOT TAKING RESPONSIBILITY FOR ALL
6 OF THIS STUFF, FOR ALL OF THESE PEOPLE BASED ON AN
7 ILLNESS THAT THEY WERE JUST BORN WITH THAT'S NOT
8 THEIR FAULT. IT MEANS THAT THEY WILL GO OUT AND
9 THEY WILL TRY TO HAVE THAT CAREER, THEY WILL TRY TO
10 HAVE THAT FAMILY, THEY WILL WALK IN THE STREET, AND
11 GET OUT OF BED WITHOUT THREE COATS AND TWO DIFFERENT
12 PAIRS OF SHOES IN THEIR PURSE AND A BOTTLE OF WATER.
13 HOPEFULLY WHEN PEOPLE COME UP TO THEM AND THEY SAY,
14 "I MET YOUR MOM AND SHE TALKED TO ME ABOUT YOU,"
15 SHE'S NOT TALKING ABOUT THE FACT THAT YOU'VE BEEN IN
16 THE HOSPITAL FOR THREE YEARS OF THE LAST FIVE. I'M
17 DONE.

18 (APPLAUSE.)

19 MS. COORS: NO QUESTIONS?

20 DR. DULIEGE: THANK OF VERY MUCH. THANK
21 YOU FOR THIS WONDERFUL TESTIMONY AND VERY MOVING.
22 WE REALLY APPRECIATE IT.

23 MS. COORS: THANK YOU FOR LISTENING. I
24 DIDN'T MEAN TO JUST RUN OFF. IT'S JUST USUALLY I
25 HEAR MY MOM START TALKING IN THE BACK, AND THAT

BETH C. DRAIN, CA CSR NO. 7152

1 TELLS ME THAT I NEED TO SIT DOWN NOW. SO I DIDN'T
2 MEAN TO RUN AWAY FROM YOU IF YOU DID HAVE QUESTIONS,
3 BUT I THINK WE'RE HERE, SO, YOU KNOW. YOU HAVE OUR
4 NUMBER. OKAY. HAVE A GOOD DAY. THANK YOU.

5 (APPLAUSE.)

6 CHAIRMAN THOMAS: SO ON THE HEELS OF
7 THAT, DO WE HAVE ANY GENERAL PUBLIC COMMENT?

8 DR. PRIETO: I'D JUST LIKE TO MAKE A
9 COMMENT ABOUT THAT BECAUSE SOMEONE SAID EARLIER
10 TODAY THAT THIS IS NOT A HUGE DISEASE IN TERMS OF
11 THE NUMBERS, BUT I REMEMBER BERT LUBIN, BEFORE HE
12 JOINED THE BOARD, COMING TO TALK TO US ABOUT IT AND
13 LAYING OUT THE POTENTIAL FOR A STEM CELL CURE FOR
14 SICKLE CELL DISEASE. IN JUST KNOWING WHAT I KNOW
15 ABOUT THIS DISEASE AND HOW INADEQUATE OUR CURRENT
16 TREATMENT FOR THIS IS, I REALLY THOUGHT THIS WOULD
17 BE HUGE. AND IF WE ARE A PART OF CURING THIS
18 DISEASE, OR HOWEVER MANY THOUSANDS OF PEOPLE, IT
19 REALLY WILL BE HUGE.

20 DR. LUBIN: SO THANK YOU FOR INVITING
21 THEM. I WANTED TO SAY SOMETHING, BUT I WANTED TO
22 WAIT TILL THE FAMILY WENT BECAUSE THE BIGGEST GAP
23 THAT WE HAVE IS TAKING CARE OF ADULTS WITH SICKLE
24 CELL. WE HAVE A LOT OF PEDIATRICIANS THAT DO A
25 REALLY GOOD JOB; BUT WHEN THEY TRANSITION TO ADULT,

BETH C. DRAIN, CA CSR NO. 7152

1 THERE'S VERY FEW DOCTORS THAT ARE WILLING TO TAKE
2 CARE OF THOSE. THERE'S NO MONEY IN IT. IT TAKES A
3 LOT OF TIME. AND THE POINT SHE MADE ABOUT PAIN
4 MANAGEMENT IS PATHETIC. I MEAN EMERGENCY ROOM
5 DOCTORS DON'T KNOW WHAT TO DO AND DON'T LISTEN TO
6 THE PATIENTS. AND SHE WAS SO PASSIONATE ABOUT IT.

7 NIH IS INVESTING A LOT IN EDUCATION TO TRY
8 TO CHANGE THIS, BUT I THINK WHAT WE'RE TALKING ABOUT
9 HERE AT CIRM IS SOMETHING THAT'S GOING TO BE
10 AVAILABLE BEFORE WE EVEN GET TO THAT STAGE. WE'RE
11 GOING TO PREVENT HAVING TO WORRY ABOUT GOING TO THE
12 EMERGENCY ROOM AND WHETHER THE DOCTOR BELIEVES YOU
13 OR NOT. AND WHAT SHE SAID IS ABSOLUTELY ACCURATE,
14 THAT DOCTORS DO NOT BELIEVE WHAT THE PATIENT'S
15 ASKING FOR FOR PAIN. AND IT'S TRAGIC. IT IS REALLY
16 TRAGIC IN THIS SOPHISTICATED SOCIETY THAT THAT
17 HAPPENS.

18 BECAUSE OF A NUMBER OF THINGS, RACIAL AND
19 OTHER FACTORS, THIS POPULATION HAS NOT BEEN SERVED.
20 AND WHEN YOU LOOK AT FUNDS THAT HAVE BEEN ALLOCATED
21 FOR DIFFERENT THINGS, SICKLE CELL HAS NEVER BEEN
22 HIGH ON THE LIST BECAUSE THERE AREN'T PUBLIC
23 ADVOCACY GROUPS. THE MOM HERE, SHE'S PHENOMENAL,
24 BUT SHE'S ONE; WHEREAS, OTHER DISEASES, CYSTIC
25 FIBROSIS AND OTHERS, THERE'S ENORMOUS AMOUNT OF

BETH C. DRAIN, CA CSR NO. 7152

1 EFFORT TO RAISE MONEY. SO WONDERFUL THAT CIRM IS
2 TAKING A STANCE IN THIS, AND A PARTNERSHIP WITH
3 NHLBI REALLY SAYS, AS WE HEAR MANY TIMES, THAT
4 CALIFORNIA IS GOING TO BE A LEADER. YOU ARE GOING
5 TO BE THE LEADER IN CHANGING THIS FOR THE NATION AND
6 MAYBE THE WORLD. SO I THINK IT'S REALLY A WONDERFUL
7 OPPORTUNITY FOR US. AND I WANT TO THANK ALL OF YOU
8 BECAUSE I THINK IT'S SO IMPORTANT, AND I THINK WE
9 CAN DO IT.

10 MR. TORRES: WE THANK YOU FOR YOUR
11 LEADERSHIP.

12 (APPLAUSE.)

13 CHAIRMAN THOMAS: OKAY. WELL, ON THAT
14 HIGH NOTE AND SEEING NO PUBLIC COMMENT, THANK YOU,
15 EVERYBODY, FOR A GREAT MEETING. I DO WANT TO REMIND
16 YOU THAT WE NEED TO GET A QUORUM FOR THAT NOVEMBER
17 15TH APPLICATION REVIEW SUBCOMMITTEE. WE'LL CALL
18 THAT THE OS STEWARD MEETING. AND ON THAT NOTE, HAVE
19 A GREAT REST OF THE MONTH.

20 I WOULD LIKE TO PERSONALLY THANK
21 MR. ROWLETT, MR. JUELSGAARD, DR. SAMBRANO, AND
22 OTHERS FOR YOUR CONTINUED BEST WISHES FOR THE
23 DODGERS AS THEY CONTINUE TOWARDS THE WORLD SERIES.
24 WE STAND ADJOURNED. THANK YOU VERY MUCH.

25 (THE MEETING WAS THEN ADJOURNED AT 1:45 P.M.)

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE AND THE APPLICATION REVIEW SUBCOMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
1999 HARRISON STREET, SUITE 1650
OAKLAND, CALIFORNIA
ON
OCTOBER 11, 2018

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CA CSR 7152
133 HENNA COURT
SANDPOINT, IDAHO 83864
208-255-5453