

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT

A PUBLIC HEARING)
IN RE THE CIRM STRATEGIC)
PLAN UPDATE)
_____)

LOCATION: CITY OF HOPE
DUARTE, CALIFORNIA

DATE: MARCH 5, 2009
9:30 A.M.

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO.: 83971

BARRISTERS' REPORTING SERVICE

I N D E X

ITEM DESCRIPTION	PAGE NO.
CALL TO ORDER	3
PRESENTATION BY DR. ALAN TROUNSON	4
PRESENTATION BY DR. OWEN WITTE	18
PUBLIC COMMENT AND QUESTIONS	35
ADJOURNMENT	37

BARRISTERS' REPORTING SERVICE

1 DUARTE, CALIFORNIA; THURSDAY, MARCH 5, 2009

2 9:30 A.M.

3
4 DR. FRIEDMAN: LADIES AND GENTLEMEN, GOOD
5 MORNING. IT'S A PLEASURE FOR ME TO WELCOME YOU HERE
6 TO CITY OF HOPE FOR THIS PUBLIC DISCUSSION AS THE
7 STRATEGIC PLAN FOR THE CALIFORNIA INSTITUTE OF
8 REGENERATIVE MEDICINE STRATEGIC DIRECTION IS
9 REVIEWED, REFRESHED, AND LAID OUT FOR THE NEXT
10 SEVERAL YEARS. THIS IS AN IMPORTANT MEETING. WE
11 APPRECIATE EVERYONE BEING HERE.

12 THIS EFFORT HAS BEEN A PRODUCT OF
13 PUBLIC-PRIVATE PARTNERSHIPS ALL ACROSS THE STATE,
14 AND CITIZENRY INVOLVEMENT IS CRUCIAL TO THE SUCCESS.
15 HAVING YOUR INPUT HELPS THE STAFF OF THE CIRM DIRECT
16 THE ORGANIZATION AT A TIME OF UNPRECEDENTED
17 OPPORTUNITIES, A TIME OF REAL CONSTRAINTS, IN A TIME
18 OF OBVIOUS RISKS. TO CHART THE RIGHT COURSE IS NO
19 SIMPLE MATTER, BUT LUCKILY WE HAVE A VERY FINE STAFF
20 UP TO THIS TASK AND EAGER TO MOVE FORWARD.

21 THE PROGRAM THIS MORNING WILL BE A
22 PRESENTATION FIRST BY ALAN TROUNSON. HE WILL BE
23 FOLLOWED BY DR. OWEN WITTE, AND THEN THERE WILL BE
24 AN OPPORTUNITY FOR DISCUSSION, QUESTIONS, AND
25 ANSWERS. ALL THE COMMENTS TODAY WILL BE TRANSCRIBED

BARRISTERS' REPORTING SERVICE

1 AND RECORDED SO THAT WE WILL BE ABLE TO CAPTURE THE
2 ADVICE, THE CRITIQUES, THE SUGGESTIONS THAT ARE
3 MADE.

4 IT'S MY PLEASURE NOW TO INTRODUCE TO YOU
5 DR. ALAN TROUNSON, EXTRAORDINARY SCIENTIST, SKILLED
6 ADMINISTRATOR, AND A LEADER OF THIS EFFORT. DR.
7 TROUNSON.

8 DR. TROUNSON: THANK YOU VERY MUCH,
9 MICHAEL. THANK YOU FOR HOSTING THIS PUBLIC SESSION.
10 IT'S VERY IMPORTANT FOR US TO GARNER SOME INPUT ON
11 REVISIONS TO OUR STRATEGIC PLAN BECAUSE THE
12 STRATEGIC PLAN WAS DEVELOPED IN 2006. IT'S A REAL
13 DOCUMENT. IT'S MOVING IN REAL-TIME. THERE HAVE
14 BEEN CHANGES THAT HAVE HAPPENED IN THE SCIENCE,
15 THERE ARE CHANGES HAPPENING IN THE CLINICAL AREA,
16 AND WE WANT TO TAKE THE OPPORTUNITY TO ENSURE THAT
17 WE'RE SEEKING AN OPTIMUM PLAN TO BE ABLE TO DELIVER
18 ON THE MISSION THAT WAS SET WHEN WE BEGAN.

19 SO JUST TO KEY YOU IN ON THE MISSION, IT
20 REALLY VERY SPECIFICALLY ASKED US TO TAKE THOSE
21 IMPORTANT DISCOVERIES AND BRING THEM TO THE CLINIC.
22 AND I THINK IN MY OWN MIND, IF WE DON'T GET THESE
23 IMPORTANT DEVELOPMENTS INTO THE CLINIC, WE WON'T
24 HAVE SATISFIED THE INTEREST IN GETTING PROPOSITION
25 71 UP. SO IN MANY WAYS I SEE IT'S IMPORTANT FOR US

BARRISTERS' REPORTING SERVICE

1 TO INDICATE TO OUR BASIC SCIENCE COLLEAGUES THAT
2 WHATEVER THE NEW DEVELOPMENTS THEY'VE BEEN MAKING,
3 AND THEY'RE EXTRAORDINARY, WHEN YOU LOOK UPSTREAM
4 FOR OPPORTUNITIES SO THEY CAN GO FORWARD IN SOME WAY
5 TOGETHER WITH OTHER DISCOVERY THAT AFFORD SOME WAY
6 TO CLINICAL TREATMENTS AND, ONE HOPES, CURES IN DUE
7 COURSE.

8 SO HAVING SPECIFIED THE MISSION STATEMENT,
9 THERE ARE A NUMBER OF GOALS IN THOSE PAPERS THAT ARE
10 AVAILABLE TO YOU. I'M NOT GOING TO GO THROUGH ALL
11 THE GOALS, JUST TO IDENTIFY THAT THERE ARE GOALS
12 THAT HAVE BEEN SET OUT IN OUR ORIGINAL PLAN. WE
13 ACTUALLY AREN'T CHANGING ANY OF THEM SPECIFICALLY
14 EXCEPT GOAL 5, THAT WE HAVE NOT TAKEN ANY STEPS
15 TOWARDS FORMING A STEM CELL BANK. I THINK THAT'S
16 ACTUALLY BEEN THE RIGHT DECISION AT THIS POINT IN
17 TIME BECAUSE THERE'S BEEN SO MUCH MOVEMENT IN THE
18 SPACE ABOUT WHAT IS IMPORTANT TO BANK. AND ANYWAY,
19 THERE ARE BANKS AVAILABLE IN THIS COUNTRY AND, OF
20 COURSE, INTERNATIONALLY THAT ARE ACCESSIBLE TO
21 CALIFORNIA RESEARCHERS.

22 WE ARE HOPING TO MAKE MORE STEM CELLS,
23 PARTICULARLY EMBRYONIC STEM CELLS THAT HAVE GENETIC
24 MUTATIONS, MUCH MORE AVAILABLE TO CALIFORNIA
25 SCIENTISTS. BUT MY FEELING, AND YOU CAN TELL ME IF

BARRISTERS' REPORTING SERVICE

1 I'M WRONG, MY FEELING HERE IS AT THE MOMENT THAT
2 YOU'VE GOT ADEQUATE ACCESS TO RESEARCH LINES.

3 THE OTHER ISSUE ABOUT WHETHER WE'VE GOT
4 SUFFICIENT LINES OF EMBRYONIC STEM CELLS FOR
5 CLINICAL APPLICATION IS ANOTHER MATTER ALTOGETHER,
6 AND IT'S ONE THAT WE'VE BEEN EXAMINING CLOSELY TO
7 OUR INTEREST IN SETTING UP GMP FACILITIES. WE ARE
8 HERE AT THE HOME OF ONE OF THE MAJOR GMP FACILITIES
9 HERE AT THIS INSTITUTION. SO WE'RE ADVISED
10 ESSENTIALLY THAT THERE ARE REALLY SUFFICIENT GMP
11 FACILITIES AVAILABLE TO CALIFORNIA RESEARCHERS AT
12 THIS POINT IN TIME. WHETHER THAT'S TRUE IN THREE TO
13 FIVE YEARS WE WOULDN'T LIKE TO PREDICT. IT DEPENDS
14 REALLY ON WHAT SORT OF CELL LINES WE HAVE GOING
15 FORTH CLINICALLY. BUT ESSENTIALLY WE HAVE SAID THAT
16 THERE'S NOT A REAL DRIVE TO CREATING A SPECIFIC CIRM
17 STEM CELL BANK.

18 THE OTHER GOALS, WE'VE BEEN MAKING SOME
19 PROGRESS ON THEM AND, INDEED, WE FEEL CONFIDENT IN
20 ALL THE GOALS WE WILL MAKE IN THE FIVE-YEAR
21 TIMEFRAME. WE'RE SITTING HERE, ABOUT TWO YEARS,
22 LITTLE OVER TWO YEARS, SO HALFWAY THROUGH THE FIRST
23 FIVE YEARS. AND SO WE'VE GOT TIME, CERTAINLY ENOUGH
24 TIME TO BE ABLE TO ADDRESS ALL OF THOSE ORIGINAL
25 GOALS THAT ARE SET THERE. WHETHER THERE IS A NEED

BARRISTERS' REPORTING SERVICE

1 TO REVISE SOME OF THE GOALS BEYOND WHAT WE'RE DOING,
2 I THINK IT'S A MATTER FOR US TO GET INPUT FROM YOU.

3 THERE ARE TEN-YEAR GOALS. THEY EXIST AS
4 WELL, AND I'M NOT GOING TO TRY AND ADDRESS THOSE,
5 BUT THEY EXIST THERE. IF YOU LOOK CAREFULLY, YOU
6 WILL SEE THOSE TEN-YEAR GOALS THAT WE'RE COMMITTED
7 TO IN THE ORIGINAL PLAN.

8 SO WHAT I WANT TO DO IS SORT OF FOCUS YOU
9 ON WHERE WE ARE. WE'RE SEEING CIRM RESEARCHERS AS A
10 PIPELINE OF VALUE GOING FROM THE VERY BASIC END
11 THROUGH TO THE CLINICAL END WHERE WE HAVE
12 OCCUPATION, IF YOU LIKE, OF THE BASIC UNIVERSITIES,
13 RESEARCH INSTITUTIONS, AND HOSPITALS, AND THE
14 VENTURE COMPANIES THAT ARE THE MIDDLE PART OF THE
15 TRANSLATION, THE EARLY CLINICAL PHASES AND, OF
16 COURSE, BIG BIO AND BIOTECHNOLOGY AND THE
17 PHARMACEUTICAL INDUSTRY WOULD OCCUPY THE OTHER
18 CLINICAL END. SO THERE ARE DISCRETE ENTITIES IN
19 THIS PIPELINE, AND ACTUALLY WE FEEL THAT WE WANT TO
20 INTEGRATE THAT PIPELINE TO MAKE OUR MISSION FEASIBLE
21 AND LOOK FORWARD TO BE GENUINELY ACHIEVABLE.

22 THERE ARE BIG ISSUES ABOUT THE AMOUNT OF
23 MONEY THAT'S NECESSARY IN THAT VENTURE CLINICAL AREA
24 BECAUSE THE COSTS OF -- AT LEAST THE DRUG INDUSTRY
25 MODEL COSTS ARE VERY HIGH ON THE ORDER OF A BILLION

BARRISTERS' REPORTING SERVICE

1 DOLLARS OR MAYBE MORE TO GET A DRUG SUCCESSFULLY
2 INTO PRACTICE, INTO TREATMENT, ROUTINE TREATMENT FOR
3 PATIENTS. IT MAY BE LESS COST TO GET CELL THERAPIES
4 THROUGH THAT FRAMEWORK, BUT WE'RE VERY LIKELY TO BE
5 DEALING WITH SMALL MOLECULES IN THE FIRST INSTANCE
6 ANYWAY, SO VERY MUCH A ROUTINE DRUG MODEL THAT'S
7 DEVELOPING OUT OF THE ASSAYS THAT THE SCIENTISTS
8 HAVE WORKED THROUGH FOR THE STEM CELLS.

9 BUT WE'RE GOING TO NEED ADDITIONAL
10 FINANCING HERE BECAUSE THE MODEL IS -- THE BUSINESS
11 MODEL IS VERY HARD TO EXPLAIN TO INVESTORS, VENTURE
12 CAPITALISTS, BECAUSE THE HIGH COSTS OF GOING THROUGH
13 THE DEVELOPMENT AND THE CLINICAL STUDIES, AND IF YOU
14 ARE DEALING WITH TREATMENT WHICH MIGHT BE RELATIVELY
15 SIMPLE, A CURE WHERE A PATIENT, FOR EXAMPLE, GETS A
16 DOSE OF CELLS, IT'S VERY HARD TO EQUATE BENEFITS IN
17 A BUSINESS SENSE FROM WHAT YOU CAN CHARGE IN YOUR
18 THERAPEUTIC MODE FOR THE COSTS OF THE INVESTMENT.

19 SO WE'RE LOOKING TO SEE IF WE CAN DEVELOP
20 SOME NEW MODELS HERE. THEY WOULD BE LOOKING TO THE
21 BENEFICIARIES OF THE CURES, IF YOU LIKE, AND THAT
22 WOULD BE GOVERNMENT WHO END UP PAYING FOR A LOT OF
23 PATIENT COST, HEALTH INSURANCE INDUSTRY, LOOKING TO
24 SEE IF THERE ARE WAYS TO REFIT THE INVESTMENTS SO
25 THAT IT MAKES A BETTER SENSE MODEL FOR INVESTORS AND

BARRISTERS' REPORTING SERVICE

1 THE PHARMACEUTICAL INDUSTRY TO CONNECT WITH US.

2 AND SO THAT'S ONE OF THE ACTIVITIES THAT
3 WE'RE LOOKING AT. IS THERE A DIFFERENT PARADIGM, IF
4 YOU LIKE, TO ENABLE US TO GET THESE NUMEROUS
5 TREATMENTS THAT ARE ARISING, PARTICULARLY THE CELL
6 THERAPIES, TO BE ABLE TO GET THEM TO GO THROUGH TO
7 CLINICAL TREATMENT.

8 WITH THE PIPELINE THAT WE CURRENTLY HAVE
9 ADVANCED, THE GREEN IS THE VERY BASIC END OF IT.
10 AND I'VE PUT THAT IN LARGE BLOCKS ON THE PIPELINE
11 BECAUSE MOST OF OUR INVESTMENT HAS BEEN IN THE BASIC
12 AREA. WE'VE SEEN COMPREHENSIVE GRANTS, NEW CELL
13 LINES, AND BIOLOGY, BASIC BIOLOGY PROGRAMS. THE
14 BLUE BAR IS WHERE WE'VE BEEN MOVING INTO
15 TRANSLATION, AND YOU WILL SEE THERE IS ONLY -- WE'VE
16 JUST ONLY GONE INTO A PURE TRANSLATION AND EARLY
17 TRANSLATIONAL RFA. AND WE'VE NOW RELEASED THE
18 DISEASE TEAM PROGRAM. SO WE'RE STARTING TO MOVE UP
19 THAT PIPELINE TO GET PEOPLE TO THINK ABOUT JOINING
20 TEAMS, TEAMS WHICH WILL TAKE THE RESEARCH THROUGH TO
21 IND'S IN THE CASE OF DISEASE TEAMS. CAN YOU GET THE
22 RESEARCH WITHIN A FOUR-YEAR TIMEFRAME TO ACTUALLY
23 RESULT IN AN IND APPLICATION TO THE FDA.

24 WE HAVE OPPORTUNITIES, IT'S TRUE, FOR
25 CO-INVESTING OR CLINICAL TRIALS THAT ARE CURRENTLY

BARRISTERS' REPORTING SERVICE

1 UNDER WAY. WE HAVEN'T CHOSEN TO DO THAT AT THIS
2 STAGE, BUT WE WOULD BE INTERESTED IN YOUR FEEDBACK.
3 WE THINK THAT THERE IS A ROLE FOR US TO PLAY IN THE
4 CLINICAL END, BUT THERE'S A LIMIT TO HOW MUCH
5 FUNDING THAT WE'VE GOT AVAILABLE IF WE WANT TO DRIVE
6 THE ENTIRE PIPELINE. AND IT REALLY HASN'T GOTTEN
7 ITSELF TO THE POINT WHERE WE FELT A STRONG
8 RECOMMENDATION TO GO TO THE ICOC, THE BOARD.

9 THE FUNDS AWARDED TO DATE BY THE ICOC IS
10 AROUND \$633 MILLION AS BEING TRAINING AND RESEARCH
11 GRANTS AND SHARED LABORATORIES AND FACILITY AWARDS.
12 AND SO THERE ARE THE DOLLARS THAT WE'VE ACTUALLY
13 EXPENDED. YOU'D BE INTERESTED THAT THE ICOC HAS
14 AGREED TO A THIRD OF THE TOTAL FUNDS, WHICH IS
15 AROUND ONE BILLION. SO THEY'VE ACTUALLY MADE
16 DECISIONS FOR US TO GO FORWARD ON A BILLION DOLLARS.
17 THAT'S A THIRD OF THE PROGRAM. AND THAT'S WHY I
18 THINK IT'S A VERY IMPORTANT MOMENT TO DISCUSS THE
19 STATE OF THE STRATEGIC PLAN WITH YOU.

20 MOSTLY THAT'S TAKEN UP IN THE NEW PROGRAMS
21 ON DISEASE TEAMS. THERE'S OVER \$200 MILLION THAT
22 THE BOARD HAS AWARDED US TO CONSIDER. IT'S ONLY A
23 CONSIDERATION. WE'VE GOT TO FIND THE NUMBER OF
24 REALLY PERSUASIVELY SUCCESSFUL PROGRAMS AMONGST THE
25 DISEASE TEAMS COMING FORWARD, BUT WE'VE BEEN ALERTED

BARRISTERS' REPORTING SERVICE

1 TO THE FACT THAT WE MAY RECEIVE UP TO A HUNDRED
2 APPLICATIONS IN THIS AREA. SO THERE'S ALWAYS VIEWS
3 OUT THERE IN THE RESEARCH AND THE BIOTECHNOLOGY
4 COMMUNITY THAT THERE ARE STUDIES THAT COULD GET
5 THROUGH TO THAT IND IN THAT TIME.

6 SO WE'VE BEEN ALLOCATED BY THE ICOC AROUND
7 A THIRD OF OUR TOTAL \$3 BILLION BUDGET. AND JUST TO
8 SHOW YOU WHERE THOSE THINGS HAVE GONE, YOU CAN SEE
9 THAT THE BLUE LINE IN TERMS OF RESEARCH FUNDING,
10 THAT THE LARGE ALLOCATIONS GET TOWARDS THAT CLINICAL
11 END FOR THE DISEASE TEAM ALLOCATION, WHICH IS THE
12 DARK BLUE, AND THE TRANSLATION IS THE LIGHT BLUE,
13 TWO BIG COST AREAS WE HAVE TO MOVE THE PROJECTS UP
14 IN VALUE AS WE MOVE DOWN THE PIPELINE.

15 SO THERE IS A NECESSITY FOR US TO BE
16 CAREFUL AND TO BE THOUGHTFUL ABOUT WHERE WE'RE
17 INVESTING. THERE ARE SOME ISSUES, OF COURSE, WHICH
18 YOU WILL BE AWARE OF IN TERMS OF THE CALIFORNIA
19 BUDGET. AND AT THE MOMENT WE'RE STILL ON TRACK FOR
20 OUR PROGRAM, BUT IT DOES REQUIRE US TO RAISE SOME
21 ADDITIONAL MONEY THROUGH PRIVATE PLACEMENTS OF
22 CALIFORNIA BONDS, AND THAT WE ARE PREPARED TO TAKE
23 QUESTIONS ON THAT IF YOU WISH, BUT WE HAVE A DEGREE
24 OF CONFIDENCE THAT THAT CAN BE ACHIEVED. AND THAT
25 WHILE OUR PROGRAMS MIGHT BE CUT DOWN A BIT IN THE

BARRISTERS' REPORTING SERVICE

1 SHORT TERM AS A CASH-FLOW PROBLEM, WE BELIEVE THAT
2 WE WILL EITHER BE CLOSE ON TRACK, OR THERE WILL BE
3 SOME MINOR ADJUSTMENTS TO ACCOMMODATE THE FUNDS THAT
4 WILL BE AVAILABLE TO US IN THE NEXT TWO YEARS.

5 AVERAGE AWARD AMOUNTS SHOWN IN THE SEED,
6 COMPREHENSIVE, NEW CELL LINES, TOOLS AND
7 TECHNOLOGIES, NEW FACULTY. THEY'RE ON THE OTHER
8 SIDE OF THE SCALE FOR NIH FUNDING. NIH TENDS TO
9 AWARD A LITTLE LESS THAN THAT, BUT WE'RE NOT THAT
10 FAR AWAY FROM THE NIH FUNDING. SO THOSE PEOPLE WHO
11 ARE INTERESTED IN HOW MUCH THE AWARDS ARE, THIS
12 GIVES YOU SOME IDEA OF WHAT THE YEARLY AWARDS THAT
13 HAVE BEEN MADE.

14 IN THE CASE OF THE TRANSLATIONAL STUDIES,
15 WE'RE PREPARED TO AWARD UP TO SIX MILLION. AND IN
16 THE CASE OF THE DISEASE TEAMS, WE'RE PREPARED TO
17 AWARD UP TO 20 MILLION. THAT DOESN'T MEAN WE WILL
18 DO THAT, BUT WE HAVE THE VIEW THAT IF THE STUDIES
19 ARE GOOD ENOUGH, SOUND ENOUGH, AND PERSUASIVE, THEN
20 WE WOULD GO THAT DISTANCE TO ENSURE THAT WE GET TO
21 THE CLINIC ON TIME.

22 THE STEM CELL PATHWAYS ARE SET OUT HERE.
23 WE HAVE A LOT OF OPTIONS. THE CELL THERAPIES,
24 INCLUDING TRANSPLANTATION, DO INCLUDE THE PROBLEM WE
25 HAVE WITH TRANSPLANTING ALLOGENEIC CELLS. THIS IS A

BARRISTERS' REPORTING SERVICE

1 REAL ISSUE WHICH THE REVIEWERS HAVE CONFRONTED US
2 WITH IN REVIEWING THE GRANTS AT THE PRESENT TIME.
3 WE WILL GO TO AN IMMUNOLOGY RFA PROGRAM TO TRY AND
4 SEE IF WE CAN DRAW THE BASIC IMMUNOLOGISTS AND THE
5 CLINICAL IMMUNOLOGISTS INTO EITHER THE PROGRAM WITH
6 US IN STEM CELLS. THE ISSUE OF TOLERANCE, I THINK,
7 IS A VERY IMPORTANT ONE IF YOU'RE GOING TO START
8 PUTTING ALLOGENEIC CELLS INTO PATIENTS. AND THAT IS
9 SOMETHING YOU NEED TO ADDRESS, OTHERWISE WE MAY HAVE
10 A STALL IN SOME OF THE CELL THERAPIES UP AT THE
11 APPLICATION END.

12 DRUG DISCOVERY AND ENVIRONMENT,
13 TOXICOLOGY, BOTH OF THOSE ARE IMPORTANT. I THINK
14 THE DRUG DISCOVERY IN THE SMALL MOLECULES IS GOING
15 TO BE THE FAST RUN SECTOR OF THE WORK WE FUND TO THE
16 CLINIC. YOU'VE GOT GENE THERAPY AS AN OPTION HERE.
17 IT REALLY HASN'T DRAWN SUCH A LOT OF ATTENTION, BUT
18 THE CELLS THAT WE GET TRANSFERRED TO THE PATIENTS
19 THAT ARE BETTER TARGETED TO SPECIFIC ORGANS COULD
20 CONTAIN PRODUCTS THAT WOULD REVERSE THE GENETIC
21 DISEASE. AND, OF COURSE, THE WHOLE AREA OF TISSUE
22 ENGINEERING IS A VERY STRONG AND IMPORTANT AREA FOR
23 CELLULAR TRANSPLANTATION. AND IT'S LIKELY THAT A
24 LOT OF THE CELLS THAT WE'LL TRANSPLANT WILL HAVE TO
25 HAVE SOME DEGREE OF ENGINEERING.

BARRISTERS' REPORTING SERVICE

1 ONE OF THE PRIMARY TARGETS SET FOR CIRM,
2 THESE ARE THE ONES THAT WE UNDERSTAND ARE REALLY
3 HIGHEST ON OUR RADAR. THE BASIC DISCOVERIES OF STEM
4 CELL BIOLOGY, WE BELIEVE, ARE THE IMPORTANT THINGS
5 EMANATING FROM THE BASIC SCIENCE ALL THE TIME.
6 TOOLS AND TECHNOLOGIES, VERY IMPORTANT TO HELP THE
7 SCIENTISTS BOTH AT THE BASIC AND TRANSLATIONAL LEVEL
8 TO GARNER THE DATA NECESSARY. NEW MOLECULES AND
9 THERAPY APPLICATIONS BASED ON STEM CELL RESEARCH AND
10 MOBILIZATION OF ENDOGENOUS STEM CELL TISSUE IS STILL
11 AN IMPORTANT PART OF WHAT WE CONSIDER.

12 IDENTIFICATION OF ABERRANT STEM CELLS THAT
13 COME AS CANCER STEM CELLS IS REALLY QUITE AN
14 IMPORTANT PART OF OUR PORTFOLIO AT THE MOMENT. AND
15 WHILE I RECOGNIZE THERE ARE ARGUMENTS ABOUT WHAT IS
16 A CANCER STEM CELL, IT IS IMPORTANT, IT HAS BEEN
17 INDICATED STRONGLY THROUGH THE COMMUNITIES AS AN
18 IMPORTANT TARGET FOR OUR WORK, SO THERAPIES, OF
19 COURSE, GENE THERAPIES AND TISSUE RECONSTRUCTION.

20 I THINK THE IMPORTANT PART OF THINKING
21 ABOUT THIS IS THAT THERE ARE A NUMBER OF REAL
22 GENUINE OPPORTUNITIES APART FROM THE OBVIOUS CELL
23 THERAPIES. STEM CELL MOBILIZATION IS LIKELY TO FLOW
24 FROM A BETTER UNDERSTANDING OF STEM CELL TYPE AND
25 WHAT HOLDS THEM IN THE TISSUE AND WHAT RELEASES THEM

BARRISTERS' REPORTING SERVICE

1 INTO MOBILIZATION IS BECOMING MORE AND MORE
2 IMPORTANT. AND IPS CELLS ARE PRETTY IMPORTANT IN
3 THAT PARTICULAR AREA ALONG WITH PERSONALIZED
4 MEDICINE.

5 AND THE SMALL MOLECULE WORK, WHICH IS
6 DRIVING VERY RAPIDLY OUT OF SOME OF THE BIG HIGH
7 THROUGHPUT CAPACITY INSTITUTES IS BRINGING FORWARD
8 MANY, MANY CANDIDATES THAT COULD BE VERY EFFECTIVE
9 IN TISSUE REGENERATION. AND THE AWARENESS OF THESE
10 AREAS WHICH ARE MOVING, I THINK, IS SOMETHING THAT
11 WE NEED TO RECOGNIZE.

12 IN TERMS OF THE WAY WE SEE THE RELEASE OF
13 OUR RFA'S, I PUT SOME BLUES ON SOME OF THEM THAT WE
14 SEE EVOLVING. THAT IS, WE WOULD TAKE EVERY 12 TO 18
15 MONTHS AND HAVE IT AS A CORE. THAT WOULD BE THE
16 EARLY TRANSLATIONAL RESEARCH, DISEASE TEAMS, AND
17 BASIC SCIENCE INNOVATION STUDIES. BUT ALONG WITH
18 THAT THERE ARE TRAINING GRANTS AND TOOLS AND
19 TECHNOLOGIES WHICH WE WOULD DO IN A MULTIPLICATIVE
20 WAY IN RESPONSE TO THE COMMUNITY'S VIEWS ABOUT HOW
21 OFTEN WE SHOULD RUN THOSE. WE DON'T NECESSARILY SEE
22 THAT THOSE SHOULD BE YEARLY, BUT THEY SHOULD BE MADE
23 AVAILABLE IN RESPONSE TO THE NEEDS.

24 WE SEE THE HARD CORE, IF YOU LIKE, BEING
25 IN TRANSLATION, DISEASE TEAMS, AND THE BASIC

BARRISTERS' REPORTING SERVICE

1 SCIENCE. AND THAT'S THE WAY WE'RE FORMATTING IT.
2 WE HOPE YOU UNDERSTAND THAT BETTER AND GIVE YOU A
3 BETTER IDEA OF WHAT'S COMING FORWARD. IT WILL
4 ENABLE SCIENTISTS WHO DON'T GET THE GRANTS TO TAKE
5 THE REVIEWS AND REEXAMINE THEM FOR BEING ABLE TO
6 IMPROVE THEM FOR THE NEXT TIME.

7 THE CLINICAL PROGRAMS SIT THERE AS AN
8 OPPORTUNITY. I THINK WE NEED TO RECOGNIZE WHEN WE
9 SHOULD GO TO THE CLINIC, AND IMMUNOLOGY IS A ONE-OFF
10 AT THIS STAGE, TO SEE IF WE CAN STIMULATE THAT AREA.

11 WHERE ARE WE IS A GOOD QUESTION NOW THAT
12 NIH HAS ENTERED THE FIELD WITH A CHANGE IN THE
13 ADMINISTRATION SORT OF VIEW TOWARDS EMBRYONIC STEM
14 CELL RESEARCH. WE THINK THAT THERE WILL BE A LOT
15 MORE MONEY COMING TO BASIC FROM NIH. WE ALSO
16 BELIEVE THAT BIG PHARMA IS BACKING UP TO THIS AREA.
17 THEY'RE TAKING THE OPPORTUNITY TO LOOK AT
18 PARTNERSHIPS. I THINK THEY WILL BE INVOLVED IN
19 TRADES, BUY-INS, MERGERS, AND SO FORTH TO TAKE
20 SUCCESSFUL BIOTECH COMPANIES AND DRAW THEM INTO
21 THEIR OWN PROGRAMS.

22 SO WE SIT IN THIS NICHE. IS IT A REALLY
23 APPROPRIATE NICHE FOR US TO HAVE IN THE SO-CALLED
24 VALLEY OF DEATH OR VALLEY OF OPPORTUNITY? IT'S JUST
25 A MATTER OF WHICH WAY YOU THINK ABOUT IT. I THINK

BARRISTERS' REPORTING SERVICE

1 THERE IS A NICHE THERE, BUT I DON'T SEE WHY WE
2 SHOULD EXIT FROM EITHER THE BASIC OR THE CLINICAL
3 END UNNECESSARILY UNLESS THERE'S SUFFICIENT SUPPORT
4 IN BOTH THOSE SECTORS. BUT AN IDEAL NICHE FOR CIRM
5 MAY WELL BE IN THIS AREA BETWEEN THOSE TWO WHERE
6 MANY THINGS FAIL FOR ALL SORTS OF REASONS AND GOOD
7 IDEAS GO AGROUND OR NOT DEVELOPED AT THE RATE THAT
8 WE WOULD HOPE.

9 GLOBAL PARTNERSHIPS WITH COLLABORATION
10 WITH VICTORIA WHICH SORT OF CAME INTO OUR
11 TRANSLATIONAL PROGRAMS. WE HAVE THE UK, SPAIN, AND
12 JAPAN, AND CANADA. CANADA THROUGH CANCER, THE
13 CANCER STEM CELL CONSORTIUM, AND THE JDRF HAVE ALL
14 COME TOGETHER. THEY WILL PROVIDE, IF THE SCIENTISTS
15 MAKE APPLICATIONS TOGETHER FOR FUNDING WITHIN THE
16 RFA'S AND THOSE PROJECTS ARE AWARDED AT THE TOP
17 LEVEL, WE'LL PAY FOR THE CALIFORNIA PART AND THE
18 OTHERS WILL PAY FOR THE BRITISH PART OR THE CANADIAN
19 PART.

20 HERE'S A WAY OF ACTUALLY BUILDING CAPACITY
21 WITHOUT REALLY INTERFERING WITH THE WAY THE SCIENCE
22 IS DONE IN THESE DIFFERENT AREAS. SO AN
23 OPPORTUNITY, IF YOU LIKE, FOR CALIFORNIA TO TAKE
24 EVEN MORE LEADERSHIP, BUT ALSO BE ABLE TO UTILIZE
25 THE VERY SPECIAL CAPACITY THAT SITS OUTSIDE

BARRISTERS' REPORTING SERVICE

1 CALIFORNIA. WE BELIEVE WE MAY HAVE ABOUT TEN OF
2 THESE MOU'S OR AGREEMENTS TO WORK TOGETHER. AND WE
3 ARE RESPONDING TO THE SCIENTISTS BECAUSE IT ONLY
4 WORKS IF THE SCIENTISTS ARE PREPARED TO COME
5 TOGETHER AND MAKE AN APPLICATION.

6 SO THAT'S THE KIND OF FRAMEWORK THAT WE'RE
7 WORKING. WE WOULD LIKE SOME FEEDBACK ON WHAT YOU
8 THINK ABOUT THESE THINGS, AND WE HOPE THAT THERE
9 WILL BE SUFFICIENT TO THINK ABOUT WHAT I'VE SAID AND
10 SOME QUESTIONS THAT MICHAEL HAS. I WANT TO PASS
11 OVER TO OWEN WITTE. OWEN WE'VE ASKED TO COME ALONG
12 BECAUSE HE'S ACTUALLY BEEN AT THIS BUSINESS OF GOING
13 FROM THE BENCH TO THE BEDSIDE. HE'S A SCIENTIST
14 WHO'S BEEN VERY SUCCESSFUL IN THIS. HE'S SOMEBODY
15 WHO KNOWS THE TRACK, HAS BEEN UP AND DOWN THIS
16 TRACK, AND THIS IS A PLACE WHERE WE WANT TO BE. AND
17 WE WOULD LIKE TO HEAR FROM HIM HOW HE SEES THIS
18 SPACE BETWEEN THE BASIC SCIENCE AND THE CLINIC AND
19 HOW WE SHOULD SORT OF POPULATE THAT AND MAYBE GIVE
20 US SOME EXAMPLES FROM HIS OWN WORK. OWEN.

21 DR. WITTE: MORNING, EVERYONE. ALAN,
22 THANK YOU FOR THE INVITATION TO SPEAK. I HAD TO
23 INFORM ALAN THAT DUARTE IS NOT GENERALLY CLOSE TO
24 WEST LOS ANGELES, BUT I MADE IT HERE ANYWAY.

25 WITH THAT OVERVIEW THAT ALAN PROVIDED,

BARRISTERS' REPORTING SERVICE

1 THERE ARE SOME MAJOR ISSUES TO THINK ABOUT AND THEY
2 HAVE TO DO WITH TRANSITIONS. AND AS A BASIC
3 SCIENTIST WITH A MEDICAL DEGREE, I'VE ALWAYS BEEN
4 INTERESTED IN APPLYING WHAT WE LEARN IN THE
5 LABORATORY INTO THE CLINIC. WHAT I WANT TO DO TODAY
6 IS SHOW YOU THREE EXAMPLES FROM MY OWN WORK, WHICH
7 IS CLEARLY I HAVE AN INTIMATE INVOLVEMENT WITH ALL
8 THE THINGS I'LL TELL YOU ABOUT IN WHICH WE HAVE
9 PROVIDED INFORMATION OR SPECIFIC TECHNOLOGY OR
10 EXPERTISE THAT HAS CONNECTED THE BENCH TO THE
11 BEDSIDE.

12 WHAT'S INTERESTING TO ME, AS I SAT DOWN TO
13 PREPARE THIS, I HADN'T REALLY CONTEMPLATED HOW
14 DIFFERENT EACH OF THE EXAMPLES WERE, BOTH IN THEIR
15 TIMING, AND THEY ALL TAKE A LONG TIME, AND THE WAY
16 THAT THINGS WERE FINANCED FOR THESE TRANSITIONS.

17 SINCE I'M GOING TO TALK ABOUT THINGS THAT
18 INVOLVE ME AND THEY INVOLVE EVENTUALLY COMPANIES AND
19 ACCESS TO THE PUBLIC, I NEED TO SHOW YOU ALL OF MY
20 POTENTIAL CONFLICTS, AND THEY'RE LISTED HERE. AND
21 THE BASIC IDEA IS THAT WHEN WE HAVE SOMETHING THAT
22 MIGHT BE CLINICALLY VALUABLE, I THINK IT'S VERY
23 IMPORTANT TO FILE PATENTS ON IT BECAUSE OTHERWISE NO
24 ONE WILL BE INTERESTED IN DEVELOPING IT TO THE STAGE
25 OF BRINGING IT TO THE PUBLIC. AND I PARTICIPATED IN

BARRISTERS' REPORTING SERVICE

1 THE BIOTECHNOLOGY INDUSTRY, AND I HOPE TO
2 PARTICIPATE AGAIN FOR SOME OF THE LATTER WORK I'LL
3 SHOW YOU.

4 FIRST CASE, THIS GOES BACK A WAYS. IT HAS
5 TO DO WITH DEFINING THE ENZYME TARGET FOR THE
6 MOLECULAR PATH OF CERTAIN LEUKEMIAS. WE STARTED
7 ACTUALLY BACK IN THE 1970S, LATE '70S. I WAS A
8 POSTDOCTORAL FELLOW WITH DAVID BALTIMORE. SOME OF
9 YOU MAY REMEMBER THE WAR ON CANCER WHICH FUNDED A
10 LOT OF VERY GOOD BASIC WORK ON GENES THAT MIGHT
11 REGULATE A CANCER PHENOTYPE.

12 ONE OF THOSE GENES IS CALLED ABL, A-B-L,
13 NAMED AFTER A PEDIATRIC ONCOLOGIST HERB ABELSON, WHO
14 DISCOVERED THE VIRUS, GAVE IT HIS OWN NAME, AND THEN
15 GENE FROM THAT VIRUS TURNED OUT TO BE OBVIOUS NAME
16 CALLED ABL. IT WAS SOMETHING I STUDIED AS A
17 POSTDOCTORAL FELLOW, CAME TO UCLA, AND ONE OF MY
18 FIRST GRADUATE STUDENTS, JEAN KONOPKA, MADE THIS AT
19 THE TIME REALLY STARTLING OBSERVATION, HERE SHOWN IN
20 PANEL B FROM THIS PAPER AND THIS FIGURE, THAT THERE
21 WAS AN UNUSUALLY LARGE FORM OF THE ABL ONCOGENE, A
22 SPECIFIC CELL LINE K562 THAT HAD A VERY, VERY HIGH
23 LEVEL OF AT THAT TIME SOMEWHAT UNIQUE AND POORLY
24 UNDERSTOOD ENZYME CALLED TYROSINE KINASE.

25 THIS PARTICULAR OBSERVATION UNIFIED QUITE

BARRISTERS' REPORTING SERVICE

1 A WIDE VARIETY OF OBSERVATIONS IN THE FIELD IN THE
2 EARLY 1980S THAT EXPLAINED HOW A SPECIFIC CHROMOSOME
3 TRANSLOCATION COULD CREATE WHAT'S CALLED A CHIMERIC
4 GENE PRODUCT THAT HAS AN ACTIVATED TYROSINE KINASE
5 AND IS ASSOCIATED WITH A VERY SPECIFIC TYPE OF
6 LEUKEMIA.

7 ONE IMMEDIATELY KNEW THAT THIS WAS THE
8 TARGET THAT YOU WANTED TO GO AFTER FOR THIS DISEASE,
9 AND A WHOLE LOT OF WORK FROM A LOT OF LABORATORIES,
10 MY OWN INCLUDED, SHOWED THAT THIS GENE PRODUCT
11 CAUSED THIS DISEASE IN A VARIETY OF ANIMAL MODELS,
12 ALL SUPPORTED IN THEIR WORK BY NIH AND EVENTUALLY BY
13 HOWARD HUGHES MEDICAL INSTITUTE.

14 I WENT TO EVERY MAJOR PHARMACEUTICAL
15 COMPANY I COULD GET ACCESS TO, AND I TOLD THEM THIS
16 MOLECULE IS WHAT YOU WANT TO HIT WITH AN INHIBITOR.
17 THEY ALL SAID THE SAME THING. IT'S NOT OUR PATIENT
18 WITH THIS DISEASE, AND WE CAN'T MAKE INHIBITORS FOR
19 THIS FAMILY OF ENZYMES. VERY NEGATIVE. VERY
20 POLITE, BUT VERY NEGATIVE. SO I THINK THE KEY HERE
21 IS THAT IT TOOK SOME OTHER EVENTS TO OCCUR BEFORE A
22 FAMILY OF DRUGS DEVELOPED FIRST BY NOVARTIS AND NOW
23 BY VARIOUS PHARMACEUTICAL COMPANIES SO THESE
24 TYROSINE KINASES COULD BECOME AVAILABLE TO THE
25 PUBLIC.

BARRISTERS' REPORTING SERVICE

1 THIS PROCESS FROM PRIMARY DISCOVERY, THE
2 CLASS OF ENZYMES AROUND THE LATE 1970S, EARLY 1980
3 UNTIL THE USE OF THIS CLASS OF COMPOUNDS IN PEOPLE,
4 FOR EXAMPLE, GLEEVEC IN THE FIRST CLINICAL TRIAL BY
5 BRIAN DRUKER AND CHARLES SAWYERS TOOK ABOUT 20 PLUS
6 YEARS AND WANTED TO TRY TO SHORTEN THAT TIME SCALE.
7 HOW COULD WE DO THAT? PERHAPS THAT'S GOOD FOR THE
8 QUESTIONS.

9 SO THAT'S THE FIRST STORY. TWENTY YEARS,
10 BASIC SCIENCE OBSERVATION, NOT MUCH INTEREST FROM
11 THE PHARMACEUTICAL INDUSTRY UNTIL OTHER EVENTS TOOK
12 OVER SOME HAPPENSTANCE AND GOOD LUCK AND SOME GOOD
13 PEOPLE SEEING SOMETHING AND EVENTUALLY PUT IT INTO
14 CLINICAL TRIALS.

15 IN THE MID-1990S I GOT INTERESTED FOR
16 PERSONAL FAMILY REASONS IN PROSTATE CANCER AND
17 SWITCHED A LARGE PORTION OF MY EFFORTS TO STUDY THAT
18 DISEASE. ONE OF THE FIRST THINGS WE DID WAS A FEW
19 OF US AT UCLA, CHARLES SAWYERS, MYSELF, ROB REITER,
20 AND OTHERS GOT TOGETHER AND DECIDED WHAT WE WOULD
21 LOOK FOR WOULD BE TARGETS FOR MONOCLONAL ANTIBODY
22 THERAPY. IT SEEMS TRIVIAL NOW TO THINK ABOUT THAT
23 STRATEGY, BUT IN THE MID-1990S, THAT WAS NOT SO
24 POPULAR. WE FELT THAT THE RESURGENCE OF MONOCLONAL
25 ANTIBODY WORK, THAT THIS WOULD BE A REASONABLE THING

BARRISTERS' REPORTING SERVICE

1 TO DO.

2 IT WAS ALSO NOT AVAILABLE IN THAT FIELD AT
3 THE TIME VERY GOOD BIOLOGICAL MODELS FOR STUDYING
4 THIS DISEASE AND ITS PROGRESSION. SO IN A
5 COLLABORATIVE WITH CHARLES SAWYERS AND ROB REITER,
6 WE PRODUCED A SERIES OF BIOLOGICAL MODELS WHICH WE
7 COULD OBSERVE THE DIFFERENT STAGES OF PROSTATE
8 CANCER. WE USED THOSE MODELS AND OTHER TOOLS THAT
9 WERE AVAILABLE AT THE TIME TO ISOLATE A SERIES OF
10 ANTIGENS THAT WERE PREFERENTIALLY EXPRESSED AT HIGH
11 LEVEL ON PROSTATE CANCER, PARTICULARLY AS IT
12 PROGRESSED, BUT ONE OF THEM, WHICH WE CALL PSCA, THE
13 PROSTATE STEM CELL ANTIGEN, IS A CLOSE RELATIVE OF A
14 STEM CELL FOUND ON HEMATOPOETIC STEM CELLS AND A
15 VARIETY OF OTHER CELL TYPES.

16 NOW, THIS WORK WAS ALL FUNDED BY NIH AND
17 HOWARD HUGHES MEDICAL INSTITUTE AND OTHER SOURCES.
18 IT WAS VERY ACADEMIC; BUT WE KNEW THAT IN ORDER TO
19 GET IT FURTHER, WE WOULD HAVE TO TAKE IT INTO A
20 CORPORATE SETTING BECAUSE THE AMOUNT OF MONEY NEEDED
21 TO BOTH PRODUCE THE REAGENT AND TEST IT WERE WELL
22 BEYOND WHAT WE COULD DO IN OUR CLINICAL SETTING AT
23 UCLA EVEN WITH LOTS OF PEOPLE INTERESTED IN HELPING.

24 SO I'VE SUMMARIZED HERE A TIMELINE OF A
25 COMPANY WHICH I WAS A CO-FOUNDER AND CHAIRMAN OF THE

BARRISTERS' REPORTING SERVICE

1 SCIENTIFIC ADVISORY BOARD CALLED AGENSYS. THE
2 ORIGINAL NAME WAS AGENSYS. IT WAS FOUNDED IN 1997
3 BY GROUP OF UCLA FACULTY AND A VERY EXPERIENCED
4 BUSINESS EXECUTIVE. THE COMPANY HAS GROWN. WE NOW
5 HAVE OVER A HUNDRED EMPLOYEES. ONE OF THE IMPORTANT
6 THINGS HERE IS FOR THE ECONOMICS OF CALIFORNIA, IT'S
7 GOOD TO FORM COMPANIES AND PRODUCE THINGS AND EMPLOY
8 PEOPLE. AND I THINK A VERY IMPORTANT DECISION WAS
9 MADE TO HAVE ITS OWN GMP PRODUCTION FACILITY FOR
10 THIS COMPANY. NOT MANY YOUNG COMPANIES MAKE SUCH A
11 DECISION BECAUSE IT'S QUITE EXPENSIVE.

12 OVER THE COURSE OF TIME, THERE HAVE BEEN
13 SIX MAJOR RESEARCH AND DEVELOPMENT DEALS WITH MAJOR
14 PHARMA COMPANIES OVER THE LAST DECADE OR SO. AND
15 THE COMPANY MAINTAINED A PRIVATELY HELD STATUS, I
16 WENT THROUGH ALL THE PRESS RELEASES, WELL OVER \$100
17 MILLION IN TOTAL INVESTMENT IN A TEN-YEAR PERIOD.
18 IT WAS RECENTLY ACQUIRED BY A JAPANESE
19 PHARMACEUTICAL COMPANY, ASTELLAS, FOR ABOUT \$400
20 MILLION, AND CERTAINLY A GOOD ECONOMIC OUTCOME FOR
21 ALL THE INVESTORS AND PARTICIPANTS.

22 AND ITS FIRST IDENTIFIED TARGET
23 INTELLECTUAL PROPERTY THAT ENABLED A COMPANY TO
24 BEGIN DEVELOPMENT IS IN EARLY PHASE CLINICAL
25 TESTING. SO HERE'S TEN YEARS, \$100 MILLION IN

BARRISTERS' REPORTING SERVICE

1 INVESTMENT, EVENTUALLY PROFITABLE INVESTMENT, BUT
2 YET NOT EVEN BRINGING THE FIRST INTELLECTUAL PIECE
3 OF PROPERTY THROUGH THE CLINIC AND INTO HUMAN USE
4 AND CARE. THEY HAVE MANY OTHER DISCOVERIES. THEY
5 HAVE A DISCOVERY PIPELINE AND SO ON. IT'S NOT AN
6 ADVERTISEMENT FOR THEM.

7 AT THIS POINT SOMETHING HAS HAPPENED
8 WHICH, I THINK, ANYBODY IN THE AUDIENCE WHO HAS BEEN
9 INVOLVED IN THE BIOTECH INDUSTRY WILL APPRECIATE, AT
10 THIS POINT THEY DON'T NEED THE FOUNDERS ANYMORE. SO
11 I HAVE ALMOST NOTHING TO DO WITH THE COMPANY. I
12 ONLY LEARN ABOUT IT BY LOOKING FOR PRESS RELEASES
13 AND SEEING WHAT'S HAPPENING ON THE WEBSITE.

14 ALTHOUGH THAT WAS IN A SENSE MY DISCOVERY, I NO
15 LONGER CONTROL THAT DISCOVERY BECAUSE IT HAD TO BE
16 PASSED OFF TO THE BIOTECH INDUSTRY TO SEE WHAT WOULD
17 HAPPEN. SO I WISH THEM WELL. THE PSCA ANTIBODIES
18 WILL FIND A PLACE IN CANCER THERAPY FOR PROSTATE,
19 PANCREAS, AND OTHER DISEASES.

20 THE THIRD TYPE OF CASE STUDY THAT WE'RE
21 CURRENTLY INVOLVED WITH, AND THIS IS WORK THAT'S
22 EVOLVING AND INVOLVES LOTS OF OTHER PEOPLE, IT'S TO
23 TRY TO DEVELOP WHAT WE CONSIDER TO BE A VERY BROAD
24 CONCEPT OF HOW TO TREAT CANCER USING IMMUNOTHERAPY
25 OF A CELLULAR VARIETY. AND THIS IS SOMETHING THAT'S

BARRISTERS' REPORTING SERVICE

1 RUN OVER THE LAST THREE OR FOUR YEARS WITH A GROUP
2 OF COLLEAGUES HERE. AND WE'RE TAKING ACTUALLY SORT
3 OF SOMEWHAT NARROWER ROLE IN TERMS OF BEING
4 INTERESTED IN HOW WE IMAGE THE IMMUNE SYSTEM, BUT IT
5 INVOLVES PEOPLE AT UCLA, CALTECH, USC, PIN WANG IS
6 ACTUALLY HERE IN THE AUDIENCE TODAY, AND ONE
7 COLLEAGUE FROM THE UNIVERSITY OF CONNECTICUT.

8 AND THIS PROGRAM BRINGS TOGETHER EXPERTISE
9 FROM A WIDE VARIETY OF LABORATORIES AND IS BASED
10 AROUND THE FOLLOWING PROBLEM, WHICH IS THAT IF YOU
11 TAKE A DISEASE LIKE HUMAN MELANOMA, THE INCIDENCE
12 SEEMS TO BE INCREASING, BUT THE SUCCESS IN THERAPY
13 AS TRADITIONAL CHEMOTHERAPY MODES IS NOT. THIS IS
14 ABOUT STAGE 4, STAGE 3 MELANOMA THAT IS NOT VERY
15 RESPONSIVE TO CHEMOTHERAPY THAT WE CURRENTLY HAVE IN
16 OUR COLLECTION OF TOOLS.

17 SO THE OBJECTIVE WAS TO CHANGE THAT AND
18 TAKE ADVANTAGE OF THE BIOLOGICAL OBSERVATION THAT
19 SOME MELANOMA PATIENTS, IF THEY'RE STIMULATED IN
20 THEIR IMMUNE SYSTEM IN CERTAIN WAYS, CAN UNDERGO
21 VERY DRAMATIC REGRESSION OF THEIR TUMOR AND QUITE
22 STABLE IN THEIR DISEASE-FREE TIME. BUT THE PROBLEM
23 IS THAT IT'S A VERY RARE EVENT, 4 OR 5 PERCENT IN
24 MOST OF THE STUDIES. WHAT WE'D LIKE TO DO IS
25 CAPTURE THE PRINCIPLE BY WHICH THE PATIENTS CAN

BARRISTERS' REPORTING SERVICE

1 REGRESS THEIR TUMORS AND STAY STABLE, WHICH IS THE
2 T-CELL, KILL THE T-CELL BASED ON THE SPECIFIC T-CELL
3 RECEPTORS.

4 A TREMENDOUS AMOUNT OF WORK HAS ALREADY
5 BEEN ACCOMPLISHED BY THIS GROUP IN THE FIELD, AND
6 SUCH T-CELL RECEPTORS HAVE BEEN IDENTIFIED IN
7 MELANOMA SPECIFIC KILLERS. THEY'VE BEEN ABLE TO BE
8 CLONED BY WORK BY DAVID BALTIMORE AND ASSOCIATES,
9 AND ABLE TO TRANSPLANT THOSE INTO VARIOUS MODEL
10 SYSTEMS TO DEMONSTRATE THAT THAT IS THE ACTIVE
11 PRINCIPLE. AND THE IDEA WOULD BE THAT IF YOU CAN
12 GIVE EVERY PATIENT THE RIGHT T-CELL RECEPTOR AT A
13 HIGH ENOUGH AMOUNT, YOU'LL BE ABLE TO CHANGE THE
14 BALANCE BETWEEN THE IMMUNE SYSTEM OF THE TUMOR.

15 IN ORDER TO DO THAT YOU'VE TAKEN THIS FROM
16 BASIC SCIENCE STUDIES ALL THE WAY UP TO RIGHT NOW
17 CLINICAL TRIALS AT UCLA WHICH WE'RE USING OUR
18 EXTENSIVE GMP FACILITY PARTIALLY SUPPORTED AND
19 RENOVATED RECENTLY WITH MONEY FROM CIRM TO DO THIS.
20 AND THE OVERALL STRATEGY WOULD BE TO TAKE EITHER
21 PERIPHERAL BLOOD T-CELLS, WHICH ARE THE FIRST TRIALS
22 WE HAVE TO DO TO DEMONSTRATE SAFETY BECAUSE THAT'S
23 THE STANDARD OF THE FIELD CURRENTLY.

24 BUT OUR NEXT MOVE IS TO TAKE BLOOD STEM
25 CELLS OR STEM CELLS, BLOOD STEM CELLS DERIVED FROM

BARRISTERS' REPORTING SERVICE

1 IPS CELLS, FOR EXAMPLE, AND TRANSPLANT INTO THEM BY
2 GENETIC MEANS USING MOST LIKELY BLENDING VECTORS
3 INTO THOSE CELLS, THE SPECIFIC MELANOMA REACTIVE TCR
4 WHICH HAVE TO BE SPECIALLY BLENDED, IF YOU WILL, TO
5 THE IMMUNE STRUCTURE OF THE PATIENT TO THEIR OWN
6 COMPATIBILITY LEVELS. AND THEN USE A VARIETY OF
7 TECHNIQUES CALLED PET, POSITRON EMISSION TOMOGRAPHY,
8 TO FOLLOW THOSE CELLS. AND THIS IS ONE OF THE MOST
9 IMPORTANT THINGS I CAN SAY TO YOU TODAY IS THAT IF
10 YOU DO SUCH THERAPIES AND YOU DO NOT AT THE SAME
11 TIME DEVELOP ANALYTICAL PROCEDURES TO ASSESS THE
12 OUTCOME, THEN YOU NEVER UNDERSTAND WHY AN EXPERIMENT
13 FAILS AND, HENCE, YOU CANNOT MAKE IT BETTER.

14 I THINK IT'S VERY GOOD THAT THE TOOLS AND
15 TECHNOLOGY COMPETITION FUNDED A VARIETY OF GRANTS
16 THAT ARE AIMED AT EXACTLY THIS SORT OF DEMONSTRATION
17 AND FOLLOWING OF THE CELLS USED IN SUCH
18 TRANSPLANTATION MODALITIES. VERY STRONGLY, YOU HAVE
19 TO HAVE THAT IN PARALLEL.

20 THESE STUDIES ARE ACTUALLY JUST UNDER WAY
21 AND ACTUALLY HAVE AN IND THAT WAS FILED ON IT. THE
22 REGULATORY PHASE IS COMPLETE TO DO THIS TRANSPLANT,
23 NOT INTO BLOOD STEM CELLS, BUT CONVERT T-CELLS FROM
24 THOSE PATIENTS WE'VE RECRUITED CURRENTLY.

25 IN ORDER TO DO THIS IN THE SETTING OF AN

BARRISTERS' REPORTING SERVICE

1 ACADEMIC MEDICAL CENTER, YOU HAVE TO A GMP-QUALIFIED
2 CELL PROCESSING FACILITY. THERE ARE NOT ENOUGH OF
3 THOSE FACILITIES, IN MY MIND, IN THE STATE OF
4 CALIFORNIA TO DO MANY SUCH TRIALS. THERE ARE SOME
5 EXCELLENT ONES. THERE'S SOME EXCELLENT ONES HERE.
6 WE HAVE RECENTLY RENOVATED AND UPGRADED OUR
7 FACILITIES TO ABOUT 5,000 SQUARE FEET OF SPACE,
8 MULTIPLE ROOMS FOR MULTIPLE TRIALS SIMULTANEOUSLY,
9 AND ALSO BUILT OUR IPS AND ES FACILITIES WITHIN THIS
10 STRUCTURE WHERE, AS WE BEGIN TO DEVELOP IPS LINES
11 AND ES LINES UNDER THIS FACILITY, IT WILL BE DONE IN
12 A GMP-COMPATIBLE MANNER.

13 IN THE PROCESS OF THINKING ABOUT THIS
14 IMMUNE THERAPY, WE ALSO BEGAN TO THINK ABOUT HOW TO
15 TAKE INFORMATION WE WERE INTERESTED IN CONCERNING
16 MONITORING AND MEASURING THE IMMUNE SYSTEM TO JOIN
17 UP WITH THESE CLINICAL TRIALS. AND THIS IS A PAPER
18 THAT WAS RECENTLY PUBLISHED FROM AN ACADEMIC
19 COLLABORATION IN MY LAB WITH MIKE PHELPS AND C.G.
20 RADU, WHO'S AN ASSISTANT PROFESSOR FELLOW WITH ME
21 AND NOW IS INDEPENDENTLY WORKING IN SOME GROUP, ON A
22 NEW CLASS OF POSITRON EMISSION TOMOGRAPHY.

23 HOW WE GOT THERE IS AN INTERESTING STORY.
24 WE DON'T HAVE TIME FOR IT TODAY, BUT THE IMPORTANT
25 POINT IS THAT THIS PROBE IS NOT TOTALLY SELECTIVE

BARRISTERS' REPORTING SERVICE

1 FOR SEEING IMMUNE CELLS. IT SEE OTHER CELLS AS
2 WELL, BUT IT'S ENHANCED IN IMMUNE SITES. FOR
3 EXAMPLE, THIS IS A MOUSE. THAT'S THE IMAGE HERE.
4 THERE'S A TUMOR, THE TU IS MARKED. THE TUMOR TAKES
5 UP THIS PROBE LARGELY BECAUSE IT'S INFILTRATED WITH
6 LYMPHOCYTES AND MACROPHAGES AS PART OF THE IMMUNE
7 RESPONSE. THEY'RE TRAILING THE LYMPH NODE FROM THE
8 SHOULDER DOWN TO THE AXILLARY AREA. IT'S
9 DRAMATICALLY ACTIVATED AND PICKS UP A LOT OF THE
10 PROBE, AND THERE'S DISTANT STIMULATION OF ANTIGEN TO
11 SITES LIKE THE SPLEEN AND THE GUT LINING AS SHOWN
12 HERE.

13 SO WE THINK THAT THIS TYPE OF PROBE COULD
14 BE VERY USEFUL IN MONITORING SOME NEW THERAPY THAT
15 WE'RE GOING TO DO IN PATIENTS, BUT THAT NEEDS TO BE
16 TESTED, AND WE THINK IT MIGHT HAVE GREAT VALUE ALSO
17 FOR MONITORING OTHER AUTOIMMUNE DISEASES.

18 WHAT'S THE SENSITIVITY? WHAT'S THE
19 LIMITATION? ALL THESE THINGS NEED TO BE FIGURED
20 OUT, AND THE GAME PLAN IS TO FIRST SEE IF IT HAS ANY
21 UTILITY IN HUMANS BECAUSE THAT VALLEY OF DEATH THAT
22 ALAN TALKED ABOUT, IF YOU GO TALK TO VENTURE
23 CAPITALISTS OR PHARMACEUTICAL COMPANIES, THEY ALWAYS
24 ASK YOU THE SAME QUESTION. DOES IT WORK IN PEOPLE
25 BECAUSE A MOUSE IS NOT A PERSON. A MOUSE IS WHAT WE

BARRISTERS' REPORTING SERVICE

1 USE TO THINK ABOUT SOMETHING TO GET TO TEACHING US
2 SOMETHING ABOUT HOW IT MIGHT WORK IN PEOPLE.
3 WITH RADIOACTIVE PROBES FOR PET SCAN, IT
4 WAS QUITE INTERESTING. THERE'S A VERY NICE
5 MECHANISM TO TAKE SUCH PROBES AND TO QUALIFY THEM
6 FOR GMP-CERTIFIED PRODUCTION AND THEN TEST THEM
7 THROUGH A PHYSICIAN IND AT THE LOCAL LEVEL TO FIRST
8 ASSESS THEIR GENERAL QUALITY. THIS IS WORK DONE BY
9 JOHANNES CZERNIN. HE'S THE HEAD OF OUR NUCLEAR
10 MEDICINE DEPARTMENT. USING THIS FAC-TYPE PROBE,
11 IT'S AN INTERESTING CASE BECAUSE WE COULDN'T REALLY
12 DETERMINE WHAT KINDS OF PATIENTS YOU WOULD USE IT ON
13 INITIALLY BECAUSE WE DIDN'T HAVE A TRIAL IN MIND.
14 WE HAD AN IDEA TO ASCERTAIN IF THE PROBE HAD ANY
15 VALUE. BUT IT TURNED OUT THAT THE DAY THAT THIS
16 PROBE MANUFACTURER, IT'S NOT EVERY DAY, A PATIENT
17 CAME IN WITH PANCREATITIS, WITH A DIFFERENTIAL
18 DIAGNOSIS OF PANCREATITIS IN A 56-YEAR-OLD SMOKER.
19 IT'S USUALLY, UNTIL PROVEN OTHERWISE, CANCER. AND
20 SO ONE OF THE THINGS YOU WOULD DO FOR SUCH A PATIENT
21 IS YOU WOULD DO AN FDG SCAN FOR PANCREATIC OR
22 EPITHELIAL CANCERS.

23 THAT FDG SCAN, HOWEVER, WAS NEGATIVE IN
24 THIS PATIENT. AND THE PROCESS FAC WAS AVAILABLE AND
25 ALREADY AUTHORIZED FOR USE. PATIENT CONSENTED TO

BARRISTERS' REPORTING SERVICE

1 HAVING AN FAC SCAN, AND HERE THE HEAD OF THE
2 PANCREAS TURNS UP QUITE BRIGHTLY. AND LATER,
3 BECAUSE OF THIS COMBINATION DATA AND CONFUSION AS TO
4 WHAT THE PATIENT MIGHT HAVE, THE PATIENT WENT TO
5 BIOPSY WHERE THE DIAGNOSIS WAS MADE OF AUTOIMMUNE
6 PANCREATITIS.

7 NOW, THIS IS A PERSON, THE BEST EXAMPLE,
8 WORST EXAMPLE, THERE ARE PLENTY OF OTHER PATIENTS
9 THAT HAVE BEEN EVALUATED WHERE WE CAN'T REALLY
10 DISCERN MUCH WITH THIS PROBE, BUT THIS GIVES US HOPE
11 THAT THIS WILL, IN FACT, BE SOMETHING THAT WE CAN
12 MOVE ON TO THE CLINIC IN A VARIETY OF SETTINGS. IT
13 MAY ALSO LEAD TO DESCRIBING PATIENTS WHICH CAN
14 RESPOND TO CERTAIN CLASSES OF CHEMOTHERAPY WHICH ARE
15 CLOSELY RELATED TO THE STRUCTURE OF THIS PROBE.

16 SO JUST IN SUMMARY, LET ME SAY, AND,
17 AGAIN, THIS SORT OF A MESSAGE TO THOSE OF YOU WHO
18 HAVE A BIG DECISION ABOUT WHERE TO PUT THESE
19 RESOURCES, THAT IT'S NOT CLEAR THAT THERE'S ANY
20 SINGLE PATHWAY OR SET OF GOALS ONE CAN EVER
21 ESTABLISH FOR MOVING SOMETHING FROM THE BENCH TO THE
22 BEDSIDE AND INTO COMMERCIALIZATION SO IT'S BROADLY
23 AVAILABLE.

24 I THINK YOU HAVE TO KIND OF MOVE FLUIDLY
25 AND CONSIDER THERE'S A VARIETY OF OPTIONS.

BARRISTERS' REPORTING SERVICE

1 CERTAINLY MICE BRINGS, IT'S VERY TIME-CONSUMING AND
2 VERY EXPENSIVE. AND SO I DON'T THINK CIRM SHOULD BE
3 IN A POSITION OF PUTTING UP ROADBLOCKS TO PREVENT
4 PEOPLE FROM TRYING NEW METHODS FOR PUTTING UP THINGS
5 THAT HELP THEM TRY TO DO ALTERNATIVES.

6 FIRST, DIFFERENT PATHWAYS, ACADEMIC BASIC
7 RESEARCH TO CONNECT TO THE CLINIC AND ON TO
8 COMMERCIALIZATION. AND ONE OF THE THINGS THAT I
9 NOTICE AT UCLA AND OTHER CENTERS IS THAT THERE
10 REALLY ARE SUCH DRAMATIC IMPROVEMENTS IN THE
11 TECHNOLOGIES FOR SMALL MOLECULE SCREENING, HUMANIZED
12 MONOCLONAL ANTIBODIES, DIAGNOSTIC IMAGING, AND GMP
13 CELL PROCESSING IN A VARIETY OF ACADEMIC CENTERS
14 THAT CERTAIN THINGS WE USED TO THINK YOU HAD TO DO
15 WITH AN INDUSTRIAL PARTNER ARE NOW ACTUALLY QUITE
16 DOABLE IN ACADEMIA, BUT PERHAPS NOT ON THE SCALE TO
17 GET ALL THE WAY TO THE FINISH LINE, BUT MAYBE MOVE A
18 LITTLE FURTHER THROUGH THAT PIPELINE.

19 FINALLY, THERE'S NO DOUBT THAT TO GET TO
20 THE POINT OF HAVING CLINICAL DEMONSTRATION OF
21 EFFICACY THAT YOU WILL THEN NEED TO TURN TO MUCH
22 LARGER SOURCES OF CAPITAL TO MAKE THIS HAPPEN FOR
23 THE POPULATION. HOWEVER, IF YOU CAN GET IT TO THE
24 POINT WHERE YOU'RE SURE IT'S GOING TO WORK, THEN
25 THERE'S A REASONABLE CHANCE AND PEOPLE WILL BEGIN TO

BARRISTERS' REPORTING SERVICE

1 THINK ABOUT IT, THEN I REALLY DON'T THINK THERE'S
2 GOING TO BE MUCH OF A SHORTAGE OF INTEREST FROM THE
3 PHARMACEUTICAL COMPANY. BUT WHAT THEY ALWAYS ASK IS
4 WILL IT WORK IN PEOPLE? IF WE CAN USE OUR FUNDS TO
5 GET THAT FAR, THEN I THINK THE INTEREST LEVEL GOES
6 UP DRAMATICALLY.

7 SO I'M DONE WITH MY PRESENTATION. AND I
8 DON'T KNOW, ALAN, IF YOU WANT ME TO ANSWER
9 QUESTIONS. BUT I JUST WILL SAY I TOLD ALAN I HAVE
10 TO LEAVE PROBABLY AROUND 10:45, SO PLEASE DON'T
11 THINK I'M IMPOLITE WHEN I ZOOM OUT OF HERE. I HAVE
12 TO GET BACK TO UCLA FOR A MEETING ABOUT COMPETING
13 FOR A CIRM GRANT.

14 DR. FRIEDMAN: WHAT I WOULD SUGGEST AT
15 THIS POINT IS, ALAN, FOR OWEN TO HAVE THE
16 OPPORTUNITY TO ENGAGE IN A PUBLIC DISCUSSION. THE
17 GOAL HERE, JUST TO REITERATE, IS TO HAVE AS MUCH
18 GUIDANCE AND SUGGESTION ABOUT WHERE THE STRATEGIC
19 INITIATIVES FOR THE CIRM SHOULD GO OVER THE NEXT
20 PERIOD OF TIME. AND YOU'VE HAD TWO OVERVIEW
21 DISCUSSIONS THIS MORNING. THIS COUPLED WITH THAT
22 AND A PREEXISTING KNOWLEDGE YOU HAVE ABOUT THE
23 INITIATIVES AND ORGANIZATION SHOULD STIMULATE SOME
24 QUESTIONS AND SOME COMMENTS.

25 YOU HAVE BEFORE YOU A SERIES OF CARDS

BARRISTERS' REPORTING SERVICE

1 WHICH YOU'RE WELCOME TO FILL OUT IF YOU'D LIKE TO
2 HAVE QUESTIONS WRITTEN DOWN TO ADDRESS. OBVIOUSLY
3 IF YOU HAVE COMMENTS OR IF YOU WISH TO ASK THE
4 QUESTIONS VOCALLY, PLEASE FEEL FREE TO DO SO. THERE
5 ARE MICROPHONES AT THE BACK OF THE AUDITORIUM, AND
6 WE CERTAINLY WELCOME A BROAD PARTICIPATION. AGAIN,
7 FOR THOSE OF YOU WHO THINK YOU MAY HAVE QUESTIONS
8 FOR OWEN, SINCE HE IS ON A SHORT TIME SCHEDULE, WE
9 OUGHT TO PAY SPECIAL ATTENTION NOW.

10 DR. IVERSON: LINDA IVERSON. I READ THE
11 STRATEGIC PLAN ON THE CIRM WEBSITE, AND I WAS
12 TROUBLED BY THE FACT, WHICH I DON'T THINK IT WAS
13 MADE IT HERE, THAT CIRM PLANS TO DOUBLE THE AMOUNT
14 OF MONEY FOR DISEASE PLANNING OR EARLY
15 TRANSLATIONAL. I'M AWARE OF THE FACT THAT
16 CALIFORNIA TAXPAYERS DEMAND OR WOULD LIKE TO SEE
17 SOME TRANSLATIONAL STEM CELL RESEARCH INTO THE
18 CLINIC OF SOME DEMONSTRABLE THERAPEUTIC BENEFIT, BUT
19 A LOT OF THIS RESEARCH IS NOT QUITE READY FOR PRIME
20 TIME.

21 AND SINCE THIS MEETING IS AT THE CITY OF
22 HOPE, I WOULD LIKE TO USE CITY OF HOPE AS A SPECIFIC
23 EXAMPLE, IF I MAY. CITY OF HOPE IS SPONSORING
24 RESEARCH OF A KNOWN ONCOGENE MYC TO IMMORTALIZE
25 NEURAL STEM CELLS TO USE TO TREAT PATIENTS WITH

BARRISTERS' REPORTING SERVICE

1 BRAIN TUMORS. A PAPER WAS PUBLISHED IN *PLOS*
2 *MEDICINE* THREE WEEKS AGO, DEMONSTRATING THE FETAL
3 NEURAL STEM CELLS GAVE RISE TO BRAIN AND SPINAL CORD
4 TUMORS IN THE PATIENT. A CAREFUL CYTOGENETIC
5 ANALYSIS DEMONSTRATED THAT IT WAS THE DONOR CELLS
6 WHICH WERE DERIVED FROM FEMALES THAT PRODUCED THE
7 TUMORS. THE PATIENT WAS MALE.

8 SO I AM CONCERNED ABOUT CIRM'S, IT SEEMS
9 LIKE, A RADICAL DEPARTURE ON THE PART OF CIRM TO
10 SHIFT MONEY THAT IS IN SHORT SUPPLY FROM WHAT MAY BE
11 BASIC RESEARCH THAT IS REQUIRED AND IS ESSENTIAL FOR
12 UNDERSTANDING WHAT THESE STEM CELLS CAN DO BEFORE
13 THIS MONEY IS SPENT IN CLINICAL TRIALS THAT MAY
14 PERHAPS BE ILL-ADVISED, ILL-CONCEIVED, AND PERHAPS
15 EVEN DANGEROUS.

16 SECONDLY --

17 DR. FRIEDMAN: CAN WE SEE IF WE CAN FIELD
18 ONE QUESTION.

19 DR. IVERSON: LET ME FINISH HERE. SO MY
20 FIRST QUESTION IS WHAT SCIENTIFIC STANDARDS.

21 DR. FRIEDMAN: LET'S ADDRESS THAT ONE,
22 THEN YOU'RE WELCOME TO ASK YOUR SECOND.

23 DR. IVERSON: I NEED TO LEAVE TO GO TO A
24 MEETING ALSO. MY FIRST QUESTION IS WHAT SCIENTIFIC
25 STANDARDS CIRM WILL ENACT IN ORDER TO ADDRESS THIS

BARRISTERS' REPORTING SERVICE

1 NEW REVELATION IN THE FIELD OF STEM CELL RESEARCH.

2 I'M ALSO CONCERNED ABOUT GOVERNANCE AND
3 ETHICAL STANDARDS. I UNDERSTAND THAT CITY OF HOPE
4 EXECUTIVE IS A MEMBER OF THE -- CHAIR OF THE CIRM
5 MAJOR FACILITIES SUBCOMMITTEE. AND SINCE CITY OF
6 HOPE INVESTED A GREAT DEAL OF FUNDS IN A GMP
7 FACILITY AND THERE'S A NEED FOR MORE GMP PRODUCTION
8 CAPACITY, I'M WONDERING WHAT ETHICAL STANDARDS CIRM
9 HAS ENACTED TO PREVENT ANY OR THE APPEARANCE OF A
10 CONFLICT OF INTEREST FOR ANY CELL -- POTENTIALLY
11 CELL HEALING TRANSACTIONS.

12 FINALLY, AND THEN I WILL FINISH, THERE WAS
13 AN ARTICLE PUBLISHED IN THE *L.A. TIMES* THIS MORNING.
14 THE U.S. SUPREME COURT RULED THAT THE FDA SEAL OF
15 APPROVAL DOES NOT PROTECT A COMPANY FROM LAWSUITS
16 ARISING FROM DANGEROUS DRUGS OR THERAPIES. DID YOU
17 READ THE ARTICLE? IT WAS A SIX/THREE RULING THAT
18 CAME OUT YESTERDAY.

19 SO AS SOMEONE WHO ALSO HAS EXPERIENCE IN
20 THE BIOTECH INDUSTRY, I ALSO KNOW INVESTORS ARE, OF
21 COURSE, INTERESTED IN THE BOTTOM LINE, BUT THEY'RE
22 ALSO INTERESTED IN LIABILITY. LIABILITY CUTS INTO
23 THE BOTTOM LINE.

24 IF CIRM IS NOW GOING TO PARTNER WITH THE
25 BIOTECH INDUSTRY, WHAT LIABILITY ISSUES DOES THIS

BARRISTERS' REPORTING SERVICE

1 HAVE FOR CIRM AS THE INSTITUTE AS A GRANTING AGENCY
2 OR AS CIRM GRANTEES, INSTITUTIONS RECEIVING CIRM
3 MONEY? OKAY? NOW I'M FINISHED.

4 DR. CSETE: SO FOR THE FEW PEOPLE IN THE
5 ROOM I HAVEN'T MET, I'M MARIE CSETE. I'M THE CHIEF
6 SCIENTIFIC OFFICER OF CIRM. AND I FIRST WANT TO
7 ADDRESS YOUR QUESTION ABOUT THE VERY DISTURBING
8 REPORT OF THE CHILD WHO RECEIVED SUPPOSEDLY FETAL
9 SOURCE NEURAL STEM CELLS AND THEN DEVELOPED TUMORS
10 IN THE BRAIN FROM AT LEAST TWO DONOR SOURCES.

11 SO WE HAVE NO WAY OF KNOWING WHAT THOSE
12 CELLS WERE. AND, IN FACT, THROUGH THE SCIENTIFIC
13 COMMUNITY, IT'S PRETTY CLEAR THAT OTHER CHILDREN
14 HAVE BEEN IDENTIFIED WHO DEVELOPED TUMORS AFTER
15 BEING TREATED AT THAT CLINIC. AND THE TUMOR TYPES
16 SUGGEST THAT THE CELLS WERE NOT NEURAL STEM CELLS AT
17 ALL. THERE HAVE BEEN SOME EFFORTS APPARENTLY TO
18 INVESTIGATE WHAT THE PRECISE CELL SOURCE IS, BUT
19 THAT INFORMATION JUST HASN'T BEEN MADE AVAILABLE BY
20 THE CLINIC.

21 SO CERTAINLY THERE'S BEEN -- THERE ARE
22 NEURAL STEM CELLS FROM FETAL SOURCES CURRENTLY BEING
23 USED IN PHASE I TRIALS FOR TWO DIFFERENT DISEASES IN
24 CHILDREN, AND THERE ARE PROBABLY OTHER NEURAL STEM
25 CELL TRIALS GOING ON FROM FETAL SOURCES IN EUROPE.

BARRISTERS' REPORTING SERVICE

1 I THINK THE ASSUMPTION THAT THOSE CELLS WERE WHAT
2 THE CLINICIANS SAID THEY WERE IS JUST NOT TO BE
3 BELIEVED.

4 CERTAINLY WE NEED TO EXAMINE THOSE KINDS
5 OF CASES EXTREMELY CAREFULLY FOR ANY POTENTIAL
6 PROBLEMS THAT THEY MAY HOLD FOR SIMILAR CELL
7 THERAPIES, BUT GETTING TO THE SOURCE MATERIAL WILL
8 BE DIFFICULT.

9 AS WE ANTICIPATE THE DISEASE TEAMS AND
10 CELLULAR THERAPIES, OBVIOUSLY SAFETY IS THE FIRST
11 CONCERN. AND IT'S NOT ONLY OUR INTERNAL EXAMINATION
12 OF WHAT THE BEST STANDARDS ARE FOR SAFETY FOR
13 CELLULAR THERAPIES, BUT WORKING WITH THE RECOGNIZED
14 EXPERTS OUTSIDE THE STATE, WORKING CLOSELY WITH
15 REGULATORY AGENCIES. WE'VE BEEN TRYING VERY HARD TO
16 MAKE RECOMMENDATIONS ABOUT HOW SAFETY CAN BE
17 ADDRESSED, AND WE JUST STARTED TO DO THAT
18 INTERNALLY. NOBODY WANTS TO PROCEED WITH AN UNSAFE
19 THERAPY. AND WE WILL JUST TAKE THE BEST ADVICE OF
20 THE SCIENTISTS IN THIS FIELD AND NOT MAKE THESE
21 DECISIONS BY OURSELVES.

22 YOUR SECOND QUESTION HAD TO DO --

23 DR. IVERSON: THE APPEARANCE OF CONFLICT
24 OF INTEREST.

25 DR. CSETE: WELL, I GUESS I SHOULD HAVE

BARRISTERS' REPORTING SERVICE

1 THE LAWYERS COME UP AND TALK ABOUT THIS, BUT WE
2 CERTAINLY HAVE VERY CLEAR-CUT CONFLICT OF INTEREST
3 POLICIES, BOTH FINANCIAL AND SCIENTIFIC, IN OUR
4 INTERACTIONS WITH OUR GRANTEES, AND OUR IP POLICIES
5 HAVE ALSO BEEN REFINED RECENTLY. AND CIRM DOESN'T
6 HOLD INTELLECTUAL PROPERTY, FOR EXAMPLE. IF WE HAVE
7 SPECIFIC QUESTIONS ABOUT THE CONFLICTS ARE HANDLED,
8 WE WORK WITH OUR LAWYERS ON THAT. SO IAN SWEEDLER.

9 MR. SWEEDLER: GOOD MORNING. IAN
10 SWEEDLER. I'M THE INTERIM GENERAL COUNSEL OF CIRM.
11 WE HAVE VERY STRICT CONFLICT OF INTEREST RULES AND
12 PROCEDURES. THEY APPLY IN A VARIETY OF WAYS IN
13 DIFFERENT CIRCUMSTANCES, BUT FUNDAMENTALLY NOBODY
14 WHO IS AFFILIATED WITH AN INSTITUTION GETTING INCOME
15 FROM THAT INSTITUTION OR HAS AN ECONOMIC INTEREST IN
16 THAT INSTITUTION HAS ANY ROLE IN DECIDING WHETHER OR
17 NOT FUNDING GOES TO THAT PARTICULAR INSTITUTION.
18 THEY DON'T VOTE ON THAT. THEY HAVE NO ROLE IN
19 EVALUATING THE SCIENTIFIC MERIT OF A PROPOSAL.

20 SO THAT'S SOMETHING THAT WE HAVE ADDRESSED
21 CAREFULLY. OUR STANDARDS HAVE BEEN REVIEWED AND
22 FOUND TO BE STRICTER THAN THOSE USED BY THE NIH AND
23 MEET OR EXCEED WHAT'S REQUIRED BY CALIFORNIA LAW.

24 DR. FRIEDMAN: IF IT'S OKAY, I'LL TRY TO
25 ADDRESS THE THIRD QUESTION SINCE I WAS ACTUALLY AT

BARRISTERS' REPORTING SERVICE

1 THE AGENCY WHEN SOME OF THE PREEMPTION LAWSUITS WERE
2 FIRST BROUGHT. I HAVE NOT READ THE DETAILED
3 JUDGMENT, AND SO I'VE ONLY READ THE NEWS BRIEF AS
4 YOU HAVE, DR. IVERSON. SO I DON'T KNOW HOW BROADLY
5 OR HOW NARROWLY IT'S DRAWN, AND I APOLOGIZE FOR NOT
6 KNOWING MORE.

7 THAT SAID, THE ISSUE OF NATIONAL
8 PREEMPTION IS EXTREMELY IMPORTANT AND WILL AFFECT
9 NOT ONLY BIOPHARMACEUTICALS, BUT DEVICE
10 MANUFACTURERS AND MANY, MANY OTHER AREAS AS WELL.
11 IT WILL MEAN GREATER AMOUNT OF SCRUTINY WILL FALL
12 UPON THE INDIVIDUAL INDUSTRIES AND COMPANIES THAT
13 MAKE THESE PRODUCTS. AND WE WILL HAVE TO BE VERY
14 CAREFUL. THAT SAID, I DON'T THINK IT CHANGES THE
15 ESSENTIAL BALANCE THAT EXISTS, AT LEAST WITH CIRM,
16 AND LET ME TELL YOU WHY.

17 OUR CONCERN IS FUNDAMENTALLY NOT A LEGAL
18 CONCERN, A FINANCIAL CONCERN. OUR CONCERN IS A
19 HUMAN CONCERN. AND AS DR. CSETE AND DR. TROUNSON
20 AND DR. WITTE HAVE STATED, OUR INTENTION IS TO DO
21 THE VERY BEST WE CAN FOR THE PATIENTS AND MINIMIZE
22 RISK WHERE WE CAN SEE IT, BUT WE RECOGNIZE THE
23 LIMITS OF OUR BIOLOGIC INSIGHTS. THERE MAY BE SOME
24 THINGS THAT WE WILL MISS, BUT THERE'S EXTRAORDINARY
25 EFFORTS THAT WILL BE SPENT AND ARE BEING SPENT TO

BARRISTERS' REPORTING SERVICE

1 MAKE SURE THAT SAFE, UNDERSTANDABLE EXPERIMENTS ARE
2 PERFORMED WHERE THE SUBJECTS ARE FULLY, FULLY VERSED
3 IN ALL THE THINGS THAT WE KNOW RIGHT NOW. WE THINK
4 IT'S A TREMENDOUS RESPONSIBILITY, AND THIS IS A HUGE
5 BURDEN. IT'S ALSO A HUGE OPPORTUNITY FOR US. SO
6 THANK YOU FOR THOSE QUESTIONS. DR. JONES.

7 DR. JONES: RICH JONES, CITY OF HOPE. DR.
8 TROUNSON AND DR. WITTE BOTH MENTIONED THE IMPORTANT
9 ROLE OF HIGH THROUGHPUT SCREENING AND SMALL
10 MOLECULES IN STEM CELL-BASED THERAPEUTICS. I WONDER
11 IF YOU COULD PLEASE ELABORATE A LITTLE BIT MORE
12 ABOUT WHERE YOU SEE THAT HAVING THE GREATEST IMPACT
13 AT LEAST EARLY ON IN THIS FIELD.

14 DR. WITTE: I CAN GIVE MY ANSWER TO THAT,
15 WHICH IS SCIENTISTS HAVE NEVER REALLY HAD AT
16 ACADEMIC CENTERS THE TOOLS TO DO SMALL MOLECULE
17 SCREENING TILL CERTAINLY THE LAST FIVE YEARS OR SO.
18 SO GIVE SCIENTISTS A NEW TOOL, THE BEST THING TO DO
19 IS NOT TO TRY TO RESTRICT THEM OR GUIDE THEM TOO
20 MUCH IN TERMS OF WHAT THEY USE THE TOOL FOR.

21 SO I'VE SEEN MARVELOUS BASIC SCIENCE
22 STUDIES LOOKING FOR SMALL MOLECULES THAT WILL
23 INHIBIT THE DEVELOPMENT OF SPECIFIC CELL TYPES USING
24 ZEBRAFISH USING THE ENTIRE FISH AS THE ASSAY SYSTEM.
25 I'VE SEEN WONDERFUL THINGS IN YEAST, E. COLI.

BARRISTERS' REPORTING SERVICE

1 THEY'RE FINDING NEW PATHWAYS IN GENE REGULATION AND
2 LOTS OF THINGS INVOLVED IN CANCER. I THINK WHERE
3 IT'S GOING IS EXACTLY WHAT HAPPENS IN SCIENCE IS
4 THINGS WE HAVEN'T THOUGHT OF IS THE MOST EXCITING.

5 STEM CELLS, I SEE TWO OR THREE STEM CELL
6 CENTER DIRECTORS HERE, EVERY ONE OF US HAS PEOPLE
7 WHO ARE SCREENING STEM CELLS, BETA TISSUE STEM
8 CELLS, EMBRYONIC STEM CELL, IPS-DERIVED, ETC., WITH
9 THESE SMALL MOLECULE LIBRARIES. WE HAVE A VERY NICE
10 FACILITY AT UCLA, AND MORE AND MORE RESOURCES BEING
11 PUT INTO IT.

12 WHEN IT COMES OUT, A SECOND TOOL, WHICH IS
13 SMALL MOLECULES TO INTERROGATE BIOLOGY. AT THAT
14 STAGE IT'S ALL VERY BASIC. I DON'T THINK ANYBODY
15 SHOULD CONFUSE A SMALL MOLECULE SCREENING CENTER
16 WITH A PHARMACEUTICAL COMPANY. THERE IS SO MUCH
17 MORE TO IT THAN FINDING AN INITIAL HIT IN AN ASSAY.
18 THAT REQUIRES MEDICINAL CHEMISTRY, STRUCTURE
19 ACTIVITY RELATIONSHIP, AND REAL PHARMACOLOGY TO TAKE
20 IT TO THE NEXT STAGE. WITHOUT THOSE TOOLS, YOU
21 CAN'T UNDERSTAND BIOLOGY; AND WITHOUT UNDERSTANDING
22 BIOLOGY, YOU CAN'T DEVELOP THE THERAPEUTIC. THAT'S
23 MY FEELING ABOUT THE SCREENING CENTER.

24 DR. CSETE: WE HAVE A PERFECT STORM
25 BECAUSE THE OPPORTUNITY TO HAVE NEW DISEASE MODELS

BARRISTERS' REPORTING SERVICE

1 IN A DISH FOR WHICH WE CAN DO HIGH THROUGHPUT
2 SCREENING JUST OPENS THE DOOR IN A TOTALLY
3 UNANTICIPATED WAY FOR STEM CELLS BEING IMPORTANT FOR
4 DRUG DISCOVERY. I THINK THAT'S REALLY CRITICAL.

5 MR. SIMPSON: JOHN SIMPSON, CONSUMER
6 WATCHDOG. I'M THE DIRECTOR OF OUR STEM CELL
7 OVERSIGHT ACCOUNTABILITY PROJECT. AND I HAVE READ
8 THE FULL REPORT SEVERAL TIMES, AND I THINK THE STAFF
9 ARE TO BE COMMENDED FOR TAKING THIS STEP TO UPDATE
10 WHAT WAS A VERY GOOD PLAN, WHICH HAS OBVIOUSLY BEEN
11 OUT OF DATE AND INDEED UPDATE IT.

12 AND ALSO I THINK IT'S GREAT THAT YOU'RE
13 HAVING THESE PUBLIC HEARINGS TO DO THIS. AND THAT
14 GIVES US AN OPPORTUNITY MAYBE TO SAY A FEW THINGS
15 THAT CONCERN US IN THE PLAN, AND THAT'S WHAT I AM
16 GOING TO DO. I UNDERSTAND THE NEED TO HAVE A CLOSER
17 PARTNERSHIP WITH BUSINESS, BUT I'M TROUBLED BY THE
18 SUGGESTION THAT A BIOTECH ADVISORY COMMITTEE WOULD
19 BE FORMED. I DON'T UNDERSTAND HOW THAT WOULD WORK
20 IN A TRANSPARENT SORT OF WAY.

21 YOU ALREADY HAVE MEMBERS OF THE OVERSIGHT
22 COMMITTEE WHO HAVE SEATS SPECIFICALLY BECAUSE THEY
23 REPRESENT INDUSTRY, SO I THINK IT WOULD BE VERY
24 TROUBLING TO HAVE ANOTHER SPECIAL GROUP WITH A
25 SPECIAL ADVISORY PANEL FOR BUSINESS. I THINK

BARRISTERS' REPORTING SERVICE

1 BUSINESS PEOPLE WHO WEREN'T ON IT WOULD BE
2 RIGHTFULLY UPSET. I DON'T THINK IT'S IN KEEPING
3 WITH THE OVERALL ICOC. DUANE ROTH, FOR INSTANCE, IS
4 ONE OF THE MEMBERS OF THE BOARD WHO REPRESENTS THE
5 LIFE SCIENCE INDUSTRY AND DOES IT VERY WELL.

6 THE OTHER THING, IN THAT BUSINESS SECTION
7 THERE ARE SOME AREAS I THINK WHERE YOU MAY BE GOING
8 BEYOND WHAT YOUR MISSION SHOULD BE. YOU ARE TALKING
9 ABOUT COMING UP WITH POLICIES ON EUROPEAN PATENTS.
10 NOW, I FIND THAT A LITTLE INTERESTING AND TROUBLING
11 BECAUSE WHEN OUR ORGANIZATION TOOK ON WHAT MOST
12 SCIENTISTS IN THE UNITED STATES THINK WERE
13 OVERREACHING STEM CELL PATENTS HELD BY WARF, WE
14 FILED CHALLENGES TO THOSE PATENTS. CIRM'S POSITION
15 WAS THAT THEY REALLY DIDN'T WANT TO GET INVOLVED IN
16 IT. I DON'T KNOW WHY THIS PLAN FORESEES GOING OFF
17 TO EUROPE.

18 FINALLY, I UNDERSTAND --

19 DR. FRIEDMAN: TODAY IS THE DAY FOR
20 THREE-PART QUESTIONS OBVIOUSLY.

21 MR. SIMPSON: -- YOU CONSOLIDATED YOUR
22 RFA'S INTO A MORE REALISTIC NUMBER, AND THAT I THINK
23 MAKES SENSE BY EXPERIENCE. I DON'T UNDERSTAND WHY
24 IN 2010 YOU ONLY SEEM TO BE FORESEEING PRESENTING
25 TWO ROUNDS OF RFA'S FOR APPROVAL. THAT SEEMS TO BE

BARRISTERS' REPORTING SERVICE

1 A LOWER NUMBER. MAYBE I'M READING THE CHART WRONG.
2 I DON'T KNOW.

3 DR. FRIEDMAN: SO, ALAN, MAY I ASK YOU
4 PLEASE TO ADDRESS THOSE THREE POINTS.

5 DR. TROUNSON: ONE OF THE THINGS THAT IS
6 VERY IMPORTANT TO UNDERSTAND IN THIS SECTOR IS
7 REALLY WHAT IS HAPPENING WITH RESPECT TO THE BIOTECH
8 INDUSTRY AND THE PHARMACEUTICAL INDUSTRY AND THE WAY
9 IN WHICH PEOPLE ARE THINKING. THERE'S A NEED FOR US
10 TO BE ABLE TO UNDERSTAND WHAT'S GOING ON. AND IF
11 YOU DON'T, YOU KNOW, YOU HAVE TO WAIT FOR SOMETHING
12 TO HAPPEN IN A PUBLIC WAY. WHEN YOU'RE DEALING WITH
13 THESE MAJOR INTERNATIONAL COMPANIES, THAT CAN BE TOO
14 LATE AND UNFORTUNATE.

15 WE MEAN TO BE CHAPERONING CALIFORNIA STATE
16 MONEY APPROPRIATELY. FOR EXAMPLE, IF THE
17 PHARMACEUTICAL INDUSTRY WAS BACKING UP INTO AREAS
18 WHERE IT WOULD BE HELPFUL TO US OR WHERE IT WOULD BE
19 HELPFUL TO THE DELIVERY OF THE PROGRAMS AND THERE'S
20 AN OPPORTUNITY TO EMBRACE THAT CONNECTION, WE SHOULD
21 DO IT. THE THING ABOUT BIG BUSINESS, OF COURSE, IS
22 IT GENERALLY DOESN'T LIKE TO SPEAK MUCH IN PUBLIC.
23 IT'S A COMPETITIVE -- HAS THIS COMPETITIVE ATTITUDE
24 WHETHER WHAT THEY SAY WILL BE TAKEN UP BY SOMEBODY
25 ELSE.

BARRISTERS' REPORTING SERVICE

1 SO THE WHOLE IDEA, JOHN, IS TO SEE IF WE
2 CAN UNDERSTAND WHAT'S GOING ON IN THAT SPACE. ALL
3 THE DECISIONS AND ALL OF THE ARGUMENTS THAT WOULD
4 UNDERPIN ANY CHANGES IN WHAT WE DO OR ANY
5 RELATIONSHIPS WE WOULD BEGIN WOULD ALL COME BACK
6 INTO THE PUBLIC. BUT IT WOULD ENABLE US TO KNOW
7 WHAT IS SOUND IN THE SENSE OF COMPOSING WHAT WE DO
8 IN THE INTERSECTION BETWEEN NIH AND THE BASIC AND
9 THE CLINICAL. SO I NEED TO TALK TO NIH AND
10 SOMETIMES NEED TO TALK TO THEM OFF THE RECORD IN
11 ORDER TO UNDERSTAND THAT. AND OCCASIONALLY WE TALK
12 OFF THE RECORD SO YOU GET SOME BETTER UNDERSTANDING.
13 THIS IS JUST PART OF THE PROCESS OF BEING BETTER
14 INFORMED. IN THE END, WHATEVER DECISIONS WOULD COME
15 OUT OF THAT WOULD BE ONES WHICH WOULD COME THROUGH
16 THE PUBLIC FORUMS AND BE SUBSTANTIATED BY
17 APPROPRIATE LITERATURE OR CASE IN THE SENSE OF THE
18 ARGUMENTS.

19 SO I ACTUALLY THINK IT'S A SMART IDEA TO
20 DO THAT, AND I'M NOT AFRAID OF IT. I THINK IT'S THE
21 WAY TO UNDERSTAND WHAT'S GOING ON THERE THAT YOU
22 WOULDN'T GET IF YOU JUST WAIT FOR THE NEWSPAPERS TO
23 PRINT IT.

24 THE EUROPEAN PATENTS, WE AREN'T INVOLVED
25 IN ANY EUROPEAN PATENTS, THAT'S FOR SURE. I'M NOT

BARRISTERS' REPORTING SERVICE

1 SURE WHAT IS MEANT SPECIFICALLY, BUT THE
2 RELATIONSHIP THAT WE HAVE WITH OTHER BODIES WOULD
3 MEAN THAT COLLABORATIONS WOULD BE INTENDED TO MOVE
4 THE FIELD FORWARD. IF THAT HAPPENED TO HAVE A
5 COMPONENT OF A CLINICAL PROGRAM FOR WHICH THE UK
6 WANTED TO -- THEIR SIDE WANTED TO ACTUALLY LOOK AT
7 CLINICAL APPLICATIONS, THAT WOULD BE ENTIRELY A
8 MATTER FOR THAT FUNDING BODY FROM, SAY, THE UK. WE
9 ACTUALLY DON'T GET INVOLVED SPECIFICALLY IN PATENTS
10 ARISING FROM OTHER PLACES.

11 WHILE IT'S INTERESTING TO KNOW ABOUT IT
12 AND IMPORTANT FOR US TO RECOGNIZE IT, IT'S NOT GOING
13 TO CHANGE WHAT WE DO BECAUSE WE'RE REQUIRED TO SPEND
14 ALL OUR MONEY OR THE GREAT PART OF IT IN CALIFORNIA
15 AND CERTAINLY, YOU KNOW, THE CALIFORNIA PATIENTS ARE
16 THE TARGET FOR THE BENEFITS.

17 SO I DON'T KNOW IF I ANSWERED THAT
18 QUESTION FOR YOU APPROPRIATELY, BUT I HAVEN'T BEEN
19 LOOKING MYSELF AT THE PATENTS AT ALL EXCEPT AS A
20 GENERAL INTEREST.

21 I THINK IF YOU NEED A LEGAL RESPONSE, WE
22 CAN PROVIDE THAT, BUT I DON'T THINK IT'S OF
23 SUBSTANCE TO ME AT THE MOMENT UNLESS THERE'S
24 SOMETHING PARTICULAR THAT --

25 MR. SIMPSON: ON PAGE 28 IT SUGGESTS THAT

BARRISTERS' REPORTING SERVICE

1 ONE OF THE FUNCTIONS OF CIRM WOULD BE HELPING BOTH
2 MONITORING BLOCKERS TO INDUSTRY PARTICIPATION AND
3 THE USE OF CIRM RESOURCES AND INFLUENCE JUDICIOUSLY
4 TO RESOLVE LOGJAMS. EXAMPLES MIGHT INCLUDE
5 REVIEWING THE EUROPEAN UNION'S VIEWS ON
6 PATENTABILITY OF STEM CELL INVENTIONS AND THEIR
7 IMPACT ON THE COMMERCIAL SECTOR.

8 DR. TROUNSON: IN UNDERSTANDING THAT, IT
9 HAS SOME RELEVANCE TO THE PATENT SITUATION HERE IN
10 THE U.S.A. THAT IS RELEVANT; BUT UNLESS THE
11 U.S. PATENT SYSTEM CHANGES TO EMBRACE IN SOME WAY
12 EUROPEAN STANDARDS IN THE AREA, I DON'T THINK IT'S
13 THAT RELEVANT TO US. BUT THE RELEVANCE IS, AS I
14 SAID, IF WE'RE DOING A COLLABORATIVE RESEARCH
15 PROGRAM FOR WHICH THE OTHER ARM IS DOING A CLINICAL
16 STUDY, THAT WOULD HAVE RESPECT WHATEVER PATENTS
17 EXIST IN EUROPE THAT MIGHT BE DIFFERENT FROM THE
18 U.S., BUT LIKEWISE WE WOULD HAVE RESPECT FOR THE
19 PATENTS THAT ARE REWARDED HERE IN THE U.S. WITH
20 RESPECT TO PATENT PLACE AS ANYONE WOULD IN THIS DAY.

21 SO, AGAIN, I DON'T THINK IT'S A CRITICAL
22 COMPONENT TO ME AT THE MOMENT UNLESS THINGS CHANGE
23 AND IT BECOMES IMPORTANT AND RELEVANT TO BECOME PART
24 OF THE DISCUSSION. YOU KNOW, I'M A PART OF
25 PERSONALLY SUPPORTING THE CHALLENGE AND BATTLE ON

BARRISTERS' REPORTING SERVICE

1 THAT FRONT. WHAT I PERSONALLY THINK AND WHAT I
2 THINK ON BEHALF OF CIRM ARE TWO THINGS. I RESPECT
3 THE PATENT. THAT IS THE LAW. IT IS WHAT IT IS; AND
4 WHILE WE, YOU AND I, HAD A DIFFERENCE OF AGREEMENT
5 WITH OTHER PEOPLE ON THAT, WE'RE ON THE SAME SIDE.
6 WE DIDN'T WIN THE DAY, BUT I THINK WE MADE THE CASE.
7 AND SO THE BOARD, I THINK, AND SENIOR MANAGEMENT AND
8 PARTICULAR MEMBERS OF THE BOARD FEEL THAT THE
9 U.S. PATENT SYSTEM IS ONE WHICH SHOULD LOOK AFTER
10 ITSELF. AND WHILE WE MIGHT HAVE PERSONAL
11 DIFFERENCES OF VIEWS THERE, I THINK WE WOULD RESPECT
12 WHAT THE BOARD THINKS IN THIS MATTER. WELL, I HAVE
13 TO ANYWAY.

14 DR. FRIEDMAN: OTHER QUESTIONS, PLEASE.

15 DR. ZAIA: I HAVE A QUESTION ABOUT THE
16 DETAILS OF HOW THE EVENTUAL CLINICAL TRIALS THAT
17 DERIVE FROM THE DISEASE TEAM RFA WILL BE FUNDED. I
18 NOTICE IN YOUR SLIDE, YOU HAD A VENTURE CAPITAL
19 ARROW, BUT HOW MUCH VENTURE CAPITAL DO YOU
20 ANTICIPATE BECAUSE IT WAS NOT A REQUIREMENT BUILT
21 INTO THE DISEASE TEAM RFA THAT YOU COME IN WITH
22 VENTURE CAPITAL. AND WHEN YOU'RE DOING AN IND, YOU
23 ALREADY KNOW WHAT'S GOING TO FUND THE STUDY IN MOST
24 CASES WHERE YOU'RE GOING TO PROCEED DOWN THE PATH
25 TOWARDS THE COMPLETION AND SUBMISSION OF THAT IND.

BARRISTERS' REPORTING SERVICE

1 SO WHAT'S THE THINKING AND WHAT ARE THE DETAILS FOR
2 WHERE THE MONEY WILL COME FROM FOR THOSE CLINICAL
3 TRIALS?

4 DR. TROUNSON: I THINK IN SOME RESPECTS,
5 RELATED TO AN EARLIER QUESTION, WE WANT TO BE IN THE
6 SPACE WHERE WE WOULD BE LOOKING AT ASSISTING TEAMS
7 TO LOOK AT WHAT THE SAFETY AND EFFICACY WAS. SO
8 THIS PRE-IND PHASE TO PUT AS MUCH WE COULD INTO
9 QUALITY STUDIES, REALLY HIGH QUALITY STUDIES, THAT
10 FELT THEY COULD GET TO AN IND WITHIN FOUR YEARS. SO
11 WE WANTED TO FOCUS ON THAT PARTICULAR COMPONENT,
12 GIVE SOME STRENGTH TO THE SAFETY AND EFFICACY. AND
13 SO THAT THERE WAS AN ENABLING, IF YOU LIKE, OF THE
14 IND TO HAVE A MORE SUBSTANTIVE CAPACITY TO BE
15 AWARDED WHEN IT WAS APPLIED FOR.

16 I THINK THE NEXT PHASE IS IMPORTANT THAT
17 WE, FIRST OF ALL, JUST MAKE A JUDGMENT ON WHAT IS
18 AVAILABLE IN THE SENSE OF REALLY HIGH QUALITY
19 STUDIES THAT ARE PROGRESSING TO IND. WE HAVE SOME
20 KNOWLEDGE OF THAT CURRENTLY IN THE ADULT STEM CELL
21 AREA. AND TO MAKE A DECISION -- OR SORRY -- TO
22 BRING A RECOMMENDATION TO THE BOARD AT SOME STAGE
23 THAT WE WOULD CONVERT OUR HOPE TO THE PHASE I OR
24 EARLY PHASE II CLINICAL STUDIES.

25 WE ARE AWARE THAT THE COSTS ACCELERATE

BARRISTERS' REPORTING SERVICE

1 VERY DRAMATICALLY IN THAT PHASE, SO OUR CAPACITY
2 WOULD BE DRAMATICALLY DIMINISHED IN TERMS OF THE
3 NUMBERS OF STUDIES WE COULD HELP WITH. SO WHILE
4 IT'S IMPORTANT, IT'S A BALANCE, AND IT'S A BALANCE
5 THAT WE TRY TO CREATE AND THEN MAKE RECOMMENDATIONS
6 TO THE BOARD, AND THE BOARD THEN MAKES FINAL
7 DECISIONS ON IT. WHETHER WE SUPPORT A CLINICAL
8 STUDY OR NOT HAS REALLY NOT GOT TO A SITUATION WHERE
9 WE FELT THAT IT WAS A GOOD THING AT THIS POINT IN
10 TIME TO RECOMMEND TO THE BOARD.

11 SO WE HAVEN'T STEPPED ACROSS THAT LINE, IF
12 YOU LIKE. BUT IF THE WORK THAT WE FUND OUT OF THE
13 DISEASE TEAMS, TEAMS WHICH GET TO AN IND WITHIN TWO
14 OR THREE YEARS, I THINK IT MIGHT BE PERSUASIVE THAT
15 WE MIGHT GO ON AND HELP THEM. BUT ESSENTIALLY I
16 THINK WE NEED TO HAVE A GOOD HARD LOOK AT WHAT
17 REALLY HIGH QUALITY WORK IS AVAILABLE TO US THAT WE
18 WOULD THINK WAS VERY MUCH APPROPRIATE TO GET THE
19 BOARD TO SUPPORT.

20 DR. ZAIA: DR. JOHN ZAIA, Z-A-I-A.

21 DR. FRIEDMAN: I'M GOING TO USE THE
22 MODERATOR PREROGATIVE, NOT TO MAKE A POINT MYSELF,
23 BUT TO REITERATE, BECAUSE DR. WITTE HAD TO LEAVE, A
24 POINT THAT HE MADE, I THINK, THAT REALLY SPEAKS TO
25 JOHN'S POINT, WHICH IS NOT ONLY IS IT IMPORTANT TO

BARRISTERS' REPORTING SERVICE

1 DO GOOD CLINICAL STUDIES, BUT IT'S IMPORTANT TO
2 UNDERSTAND WHY THOSE STUDIES FAIL TO SUCCEED. HE
3 MADE THAT POINT VERY, VERY CLEARLY. AND I THINK
4 THERE'S SUCH AN ENORMOUS INVESTMENT OF DOLLARS, OF
5 TIME, OF HOPE IN EACH OF THESE CLINICAL EXPERIMENTS,
6 THAT VERY LIKELY, WHEN THESE STUDIES ARE REVIEWED,
7 CAREFUL ANALYSIS OF WHAT WE LEARN FROM THOSE
8 STUDIES, WHETHER THEY'RE SUCCESSFUL OR NOT IN A
9 CLINICAL SENSE, I THINK IS THE POINT DR. WITTE WOULD
10 LIKE TO REITERATE WERE HE HERE.

11 OTHER QUESTIONS, PLEASE.

12 DR. CHIU: ARLENE CHIU, CITY OF HOPE. I
13 HAVE THREE QUESTIONS REGARDING THE FUTURE STRATEGIES
14 EMPLOYED BY CIRM. ONE IS THAT ONE OF THE MAJOR
15 CHANGES IS A TREMENDOUS INFLUX OF MONEY TO FUND
16 DISEASE TEAMS, \$200 MILLION FOR THIS FIRST ROUND. I
17 WONDER WHAT PLAN CIRM HAS TO MONITOR THE PROGRESS OF
18 DISEASE TEAMS AND PERHAPS TO CURTAIL THOSE THAT ARE
19 NOT SUCCESSFUL SO THAT MONEY COULD BE ROLLED INTO
20 FUTURE OFFERS OF MORE TIMELY DISEASE TEAMS. THAT'S
21 THE FIRST QUESTION. THE SECOND, WHETHER YOU SEE
22 YOURSELF OFFERING MORE DISEASE TEAMS WITH THE
23 FOLLOWING YEARS AS EVERYBODY HOPES.

24 THE SECOND QUESTION HAS TO DO WITH PART OF
25 THE MISSION OF CIRM AS EXPRESSED IN PROP 71 AND A

BARRISTERS' REPORTING SERVICE

1 QUESTION THAT MANY PEOPLE HAVE ASKED. THAT IS, THAT
2 CIRM WAS BUILT TO FUND STEM CELL RESEARCH THAT COULD
3 NOT BE FUNDED BY THE NIH IN A TIMELY FASHION, NOT
4 NECESSARILY THAT IT ABSOLUTELY IS NOT FUNDABLE BY
5 NIH. SO MANY PEOPLE ARE CURIOUS WHETHER STEM CELLS
6 OTHER THAN HUMAN EMBRYONIC STEM CELL RESEARCH WOULD
7 BE CONSIDERED WELCOME AT CIRM. AND PARTICULARLY
8 TODAY IN ONE OF THE SLIDES IT WAS MENTIONED THAT
9 ENDOGENOUS CELL GENESIS AS WELL AS CANCER STEM
10 CELLS, TWO AREAS THAT ARE FUNDABLE IF NIH HAD THE
11 MONEY, IS ALSO ON YOUR HORIZON. AND I WANTED TO
12 KNOW WHAT YOUR THOUGHTS ARE IN FUNDING ADULT STEM
13 CELLS AND OTHER STEM CELL APPROACHES. AND I'LL HOLD
14 OFF ON MY THIRD QUESTION.

15 DR. TROUNSON: LET ME ASK MARIE TO ADDRESS
16 THE MONITORING.

17 DR. CSETE: SO NOT ONLY DO WE MONITOR
18 PERFORMANCE IN THIS WAY IN ANTICIPATORY FASHION ON
19 DISEASE TEAMS, BUT WE DO THAT FOR ALL OF OUR GRANTS.
20 NONPERFORMERS DO NOT CONTINUE. AND THAT'S BEEN SORT
21 OF A DIFFICULT REALIZATION IN THE COMMUNITY. BUT
22 THERE'S VERY DISCRETE PLANS FOR SAFETY MONITORING
23 BOARDS ATTACHED TO DISEASE TEAMS AS WELL AS AN
24 OVERSIGHT COMMITTEE THAT WILL MEET AT CRITICAL TIMES
25 AT THE MILESTONES AND YEARLY, AND THOSE MEETINGS

BARRISTERS' REPORTING SERVICE

1 WILL BE SUPERVISED BY THE SCIENCE OFFICE.

2 AND WE ABSOLUTELY UNDERSTAND THAT THERE
3 WILL BE SOME RISKY GRANTS IN TERMS OF SUCCESS OUT
4 THERE THAT WILL NOT GO THE WHOLE WAY, AND THAT
5 MONEY, OF COURSE, WILL BE AVAILABLE FOR FUTURE
6 DISEASE TEAMS. WE DON'T KNOW THE NUMBERS OF DISEASE
7 TEAMS THAT WILL BE FUNDED IN THE SECOND ROUND OR THE
8 FIRST ROUND, FOR THAT MATTER, AT THIS POINT. TIMES
9 ARE HARD FOR EVERYONE. BUT WE'RE AIMING FOR SIX TO
10 TEN THE FIRST ROUND, AND WE ANTICIPATE POSTING THE
11 SECOND ROUND OF DISEASE TEAMS 18 MONTHS DOWN THE
12 ROAD.

13 I JUST WANT TO SAY ONE THING ABOUT THE
14 OVERLAP WITH NIH. IT IS STILL A REVIEW CRITERIA FOR
15 OUR REVIEWERS THAT WE SHOULD PRIORITIZE WORK THAT
16 COULD NOT BE FUNDED ELSEWHERE. THAT INCLUDES NIH
17 AND ANY OTHER AGENCY, EITHER BECAUSE OF THE NATURE
18 OF THE WORK OR BECAUSE OF LEGISLATIVE RESTRICTIONS.

19 DR. TROUNSON: I GUESS, ARLENE, YOU SHOULD
20 KNOW BETTER THAN ANYONE THAT WHEN WE ANALYZE THE
21 PROJECTS, THERE WERE SOMETHING LIKE 15 PERCENT OF
22 THEM IN CANCER BEFORE YOU LEFT, SO YOU SHOULD BE
23 ABLE TO ANSWER YOUR OWN QUESTION IN THAT RESPECT.

24 I SENSE THAT, YOU KNOW, UNDER THE
25 PROPOSITION, WE'RE ABLE TO FUND PLURIPOTENTIAL STEM

BARRISTERS' REPORTING SERVICE

1 CELLS THROUGH THE PROGENITOR CELLS, AND THAT'S A
2 PRETTY WIDE SPECTRUM UNDER ANY KIND OF DEFINITION.
3 IN A SENSE I THINK HERE THE EMBRYONIC STEM CELL WORK
4 STILL MAY NOT BE VERY WELL FUNDED. WE'LL HAVE TO
5 WAIT AND SEE. BUT WE HAVE ACTUALLY MOVED ALONG THE
6 TRACK OF BRINGING IN IPS CELLS, THE INDUCED
7 PLURIPOTENTIAL STEM CELLS. I THINK THEY HAVE A VERY
8 REMARKABLE AND IMPORTANT ROLE TO PLAY IN
9 REGENERATIVE MEDICINE AND ANALYSIS OF DATA AND
10 UNDERSTANDING DISEASE.

11 I THINK ADULT STEM CELLS ARE IMPORTANT IN
12 THE CLINIC. ARGUABLY MORE SHOULD BE DONE IN THE
13 CLINIC, BUT I THINK THAT'S ARGUABLE. BONE MARROW
14 WORK IS REASONABLY WELL FUNDED. THERE'S A LOT OF
15 MESENCHYMAL STEM CELL WORK GOING ON. AGAIN,
16 REASONABLE STUDIES, REASONABLE SCIENCE STUDIES BEING
17 UNDERTAKEN, AND WE NEED TO MAKE JUDGMENT OF WHETHER
18 HOW EFFECTIVE THEY ARE. AND THEN ON THAT BASIS, DO
19 WE HAVE A BETTER CELL TYPE TO IMPROVE ON THE
20 OUTCOMES, I THINK THAT MAKES GOOD SENSE.

21 SO I THINK THE CANCER STEM CELL AREA IS
22 ONE WHICH IS UNDER DEBATE ABOUT WHETHER A STEM CELL
23 TYPE ACTUALLY EXISTS, BUT THE PARALLELS BETWEEN
24 EMBRYONIC STEM CELLS, PARTICULARLY IN CANCER CELLS,
25 IS NOT ARGUED. THEY EXPRESS MANY OF THE SAME GENES.

BARRISTERS' REPORTING SERVICE

1 ANYTHING THAT WE CAN LEARN FROM OUR PLURIPOTENTIAL
2 STEM CELLS THAT WE COULD TARGET TO REDUCING OR
3 CURING CANCER, I THINK WOULD BE ABSOLUTELY WELCOME
4 BY THE COMMUNITY.

5 SO I HAVE HIGH HOPES THAT THE SCIENTISTS
6 IN THIS SPACE IN CALIFORNIA WILL BENEFIT FROM WORK
7 WHICH LINKS THE TWO, WHETHER THE ASSAYS AND
8 DEVELOPMENTS THAT ARE COMING OUT OF THE
9 PLURIPOTENTIAL STEM CELL AREA WILL INFORM ON THE
10 NATURE OF THE CANCER AND HOW WE MIGHT BE ABLE TO
11 ATTACK THAT CANCER IN INNOVATIVE WAYS. AND I THINK
12 OWEN WITTE AND HIS COLLEAGUES AND MANY OTHERS HERE
13 IN CALIFORNIA HAVE ALREADY DEMONSTRATED.

14 WE HAD A WORKSHOP WITH THE THOUGHT LEADERS
15 IN CANCER IN CALIFORNIA, AND THEY WERE UNANIMOUS ON
16 THE BASIS THAT WE SHOULD MOVE FORWARD WITH SUPPORT
17 OF WORK IN THIS AREA AND TO INCLUDE OUR CANADIAN
18 COLLEAGUES. SO I THINK IT IS WELL AND TRULY
19 EMBEDDED IN THE STRUCTURE OF OUR THINKING THAT WE
20 SHOULD SUPPORT THAT. THERE ARE DIFFERENT KINDS OF
21 ADULT STEM CELLS AVAILABLE TO US. PLACENTAL STEM
22 CELLS ARE STILL BEING EXAMINED. CORD BLOOD CELLS
23 HAVE AN INCREASED SPECTRUM OF USEFULNESS. WHERE WE
24 THINK THAT THERE IS INSUFFICIENT FUNDING, GENERALLY
25 INSUFFICIENT FUNDING, WE WOULD CERTAINLY POINT THAT

BARRISTERS' REPORTING SERVICE

1 OUT TO OUR REVIEWERS BECAUSE IT'S PART OF THE
2 PROPOSITION AND THAT THAT OUGHT TO BE A FACTOR TAKEN
3 INTO CONSIDERATION IN THOSE PROJECTS.

4 DR. CHIU: THANK YOU. MY LAST QUESTION IS
5 CLEARLY THERE IS MORE EMPHASIS ON TRANSLATIONAL AND
6 CLINICAL RESEARCH AS CIRM CONTINUES ON ITS PATH. I
7 WONDER WHAT WILL HAPPEN WITH BASIC FUNDING OF BASIC
8 RESEARCH. ONE OF THE ELEMENTS IN THE ORIGINAL
9 STRATEGIC PLAN ARE RESEARCH TEAMS THAT ARE NOT
10 NECESSARILY COALESCING AROUND A DISEASE CONCEPT.
11 AND I DON'T KNOW IF THIS IS NOW LESS IMPORTANT AS WE
12 MOVE FORWARD OR WHETHER THERE WILL BE TEAMS THAT
13 COULD BUILD NEW TOOLS OR OTHER THINGS THAT WOULD
14 BOOST THE ENTERPRISE.

15 DR. TROUNSON: I THINK I'LL LET MARIE MAKE
16 SOME COMMENTS ON THIS, BUT ONE OF THE THINKINGS THAT
17 WE HAVE WITH OUR INTERNATIONAL COLLEAGUES AND, WE
18 HOPE, INTERSTATE COLLEAGUES COMING TOGETHER WAS THAT
19 WE WOULD BE BUILDING TEAMS WITHIN AND EXTERNAL TO
20 CALIFORNIA IN THE BASIC AREA AS WELL AS
21 TRANSLATIONAL AREAS. AND WE EXPECT THAT TO HAPPEN.
22 WE'VE ALWAYS BEEN VERY STRONG ABOUT HAVING TEAM
23 APPROACHES IN CALIFORNIA ANYWAY.

24 I THINK THERE'S AN ARGUMENT SOMETIMES THAT
25 BASIC SCIENTISTS DO BETTER BY THEMSELVES AND OTHERS

BARRISTERS' REPORTING SERVICE

1 SAYING THAT THEY DO VERY MUCH BETTER WHEN THEY'RE IN
2 TEAM RELATIONSHIPS. BUT I THINK THERE'S A MIXTURE,
3 AND I THINK WE JUST OUGHT TO PERSUADE THE REVIEWERS,
4 YOU KNOW, THAT THE TEAM APPROACH IS BETTER THAN THE
5 INDIVIDUAL BRILLIANT IDEA THAT A SCIENTIST WANTS TO
6 SORT OF EXPLORE. I THINK IT'S UP TO THE REVIEWERS.

7 DR. CSETE: SO WE HAVE NO INTENTION OF
8 ABANDONING BASIC SCIENCE, AND THE REASON TO GO TO
9 THE CORE THREE, IN PART ALSO ANSWERS JOHN SIMPSON'S
10 QUESTION, IS THAT COVERING THAT VALLEY OF DEATH WITH
11 REPEATING CYCLES OF BASIC BIOLOGY, EARLY
12 TRANSLATION, AND DISEASE TEAMS ALLOWS US TO COME OUT
13 IN A TIMELY FASHION AND CHANGE THE PRIORITIES, PLUG
14 AND PLAY A LITTLE BIT WITHIN THOSE GRANTS FOR WHAT
15 WE SEE IS NEEDED IN THE COMMUNITY. SO WE MAY HAVE
16 LITTLE BITS OF DIFFERENT EMPHASIS ON EACH OF THOSE
17 REPEATING PROGRAMS, BUT THEY COVER THE FULL
18 SPECTRUM, RECOGNIZING THAT BASIC BIOLOGY IS
19 ESSENTIAL TO BE ONGOING.

20 WE DO A LOT OF INFORMAL AND FORMAL
21 MATCHMAKING AS WE'RE SEEING RESULTS COME BACK NOW,
22 AND PEOPLE WHO SHOULD BE TALKING TO EACH OTHER ON
23 THE BASIC SCIENCE LEVEL. AND WE HAVE PLANS TO DO
24 THAT EVEN MORE FORMALLY BY BRINGING PEOPLE TOGETHER
25 INTO THE OFFICE IN CIRM IN GROUPS SO THAT THEY CAN

BARRISTERS' REPORTING SERVICE

1 HEAR MORE ABOUT WHAT'S BEING DONE AT INSTITUTIONS
2 WHERE THEY'RE NOT FAMILIAR.

3 DR. FRIEDMAN: THANK YOU. OTHER QUESTIONS
4 PLEASE OR COMMENTS.

5 I THINK ONE OF THE THINGS THAT THE
6 ORGANIZATION IS LOOKING TO DO FROM THIS AND OTHERS
7 IS SPECIFIC ADVICE. YOU'VE ASKED SOME VERY GOOD,
8 PROVOCATIVE, AND HELPFUL QUESTIONS. IS THERE ANY
9 ADDITIONAL GUIDANCE THAT YOU WOULD LIKE TO OFFER TO
10 THEM, US, ALL CITIZENS?

11 DR. TIZIANO: SHORT QUESTION REGARDING THE
12 BASIC BIOLOGY. SO AS A GRANTEE, I COULDN'T APPLY ON
13 THE BASIC BIOLOGY I. WILL IT BE THE SAME FOR THE
14 NEXT CYCLES? I'M MORE OF A BASIC RESEARCHER THAN A
15 TRANSLATIONAL RESEARCHER, SO IT MEANS THAT WITH CIRM
16 I'M DONE UNLESS I MOVE TO MORE TRANSLATIONAL STUDY?

17 DR. CSETE: WE HAVEN'T MADE A POLICY ABOUT
18 THAT. RIGHT NOW IT WAS REALLY A MATTER OF SPREADING
19 THE WEALTH. I MEAN THERE'S ONLY SO MUCH TO GO
20 AROUND, AND WE THINK OUR NEW FACULTY AWARDS ARE
21 QUITE GENEROUS AND WE REALLY WANTED TO FOCUS ON
22 THEM. AND YOU ALSO NOTICED PROBABLY THAT THERE CAN
23 BE OVERLAP OF PI'S WITH EARLY TRANSLATION AND
24 DISEASE TEAMS, AGAIN, BECAUSE WE WANTED TO BRING
25 DIVERSITY INTO THE SCIENTIFIC COMMUNITY. THESE ARE

BARRISTERS' REPORTING SERVICE

1 MADE AS EACH RFA IS ISSUED AND WE LOOK AT OUR
2 PORTFOLIO. SO THERE'S NO SET POLICY ABOUT THAT.

3 DR. TIZIANO: BARBERI TIZIANO FROM CITY OF
4 HOPE.

5 DR. FRIEDMAN: OTHER QUESTIONS?

6 DR. IVERSON: I'VE HEARD A NUMBER OF
7 COMMENTS NOW THAT GO BACK TO THE ORIGINAL ISSUE, AND
8 THAT IS THE RADICAL SHIFT IN STRATEGY OF CIRM TO
9 SPEND MORE MONEY ON TRANSLATIONAL, CLINICAL, AND
10 DISEASE TEAM RESEARCH. NOW, I KNOW THAT THE NUMBER
11 OF GRANTS MAY BE VERY SMALL, WHAT DID YOU SAY, SIX
12 TO TEN DISEASE TEAMS, BUT THE DOLLAR AMOUNT IS
13 SUBSTANTIAL. AND IF YOU LOOK AT ONE OF YOUR PIE
14 CHARTS THAT ADDS UP THE TOTAL NUMBER OF CIRM DOLLARS
15 SPENT ON DISEASE TEAM GRANTS, TRANSLATIONAL GRANTS,
16 AND DISEASE TEAM PLANNING GRANTS, IT AMOUNTS TO
17 ALMOST HALF OF THE CIRM MONEY SPENT. THAT MONEY MAY
18 BE SPENT MORE WISELY FOCUSING ON BASIC RESEARCH.

19 I THINK YOU'VE HEARD THIS A NUMBER OF
20 TIMES AT THIS MEETING THAT IT'S SOMETHING THAT CIRM
21 REALLY NEEDS TO CONSIDER SERIOUSLY.

22 DR. CSETE: SO TO DATE THERE'S BEEN ABOUT
23 \$600 MILLION DISTRIBUTED IN BASIC RESEARCH BY CIRM,
24 PLUS THE BUILDING FUNDS WERE 280 OR SO MILLION
25 DOLLARS. WE THINK ABOUT THIS CONSTANTLY AND

BARRISTERS' REPORTING SERVICE

1 EVALUATE THIS CONSTANTLY, AND RIGHT NOW WE THINK
2 THIS BALANCE OF GETTING THE DISEASE TEAMS STARTED,
3 EARLY TRANSLATION, AND REPEATED BASIC BIOLOGY AWARDS
4 IS THE RIGHT MECHANISM TO GET TO OUR END GAME TO
5 FULFILL THE GOALS OF THE STRATEGIC MISSION. WE WILL
6 ALWAYS EVALUATE WHAT THAT BALANCE IS, BUT IT DEPENDS
7 ON THE RESULTS AS WE GO ALONG.

8 DR. TROUNSON: I THINK MARIE'S ANSWERED
9 THE QUESTION VERY WELL. WE TAKE THIS AS INPUT, SO
10 WE UNDERSTAND THE POINT YOU ARE MAKING. AND I
11 HAVE -- I'M A BASIC SCIENTIST MYSELF, SO I CLEARLY
12 UNDERSTAND THE MERITS AND THE ADVISORIES OF
13 REMAINING IN BASIC SCIENCE, BUT I THINK YOU ALSO
14 HAVE TO RECOGNIZE THAT THE STATE OF CALIFORNIA GAVE
15 \$3 BILLION TO THE INITIATIVE TO GET THE WORK NOT TO
16 THE SCIENTIFIC JOURNALS, BUT TO GET THEM TO MOVE
17 ALONG TO THE CLINIC. AND I THINK THAT'S REALLY THE
18 END OF THE TIME.

19 THE WAY CALIFORNIA'S COMMUNITY WILL ASSESS
20 WHETHER THIS HAS BEEN A GOOD EXPERIMENT OR NOT IS
21 WHETHER THE WORK HAS ACTUALLY TRANSFERRED SOME
22 BENEFIT FOR THE PATIENTS OF CALIFORNIA.

23 AND THAT'S NOT -- THE REASON, AS I SAID
24 AND MARIE SAID, THE REASON WHY WE'RE IN TRANSLATION
25 AT THE PRESENT TIME AND IN THE DISEASE TEAMS IS TO

BARRISTERS' REPORTING SERVICE

1 ENSURE THAT THE EFFICACY AND THE SAFETY IS AS STRONG
2 AS POSSIBLE. WE DON'T ACTUALLY KNOW HOW MANY GRANTS
3 WE WILL FUND BECAUSE IN THE DISEASE TEAMS BECAUSE WE
4 HAVEN'T RECEIVED THEM. AND IN DUE COURSE IT MAY BE
5 THAT THERE'S ONLY THREE OR FOUR WORTH SUPPORTING;
6 BUT IF THERE ARE TEN OR TWELVE, IF THEY HAVE MERIT
7 TO BE SUPPORTED THERE, THE REFEREES DECIDE THAT
8 THEY'RE GREAT PROJECTS THAT MERIT, THEN AT LEAST THE
9 BOARD WILL CONSIDER THAT AS A POSSIBILITY.

10 SO I THINK BOTH THE BOARD AND MANAGEMENT
11 FEEL THAT IT'S THE RIGHT TIME TO EXPLORE THIS
12 TRANSLATIONAL AREA IN ORDER TO START TO UNDERPIN THE
13 SAFETY AND EFFICACY OF THE STUDIES WHICH ARE ALREADY
14 GOING FORWARD.

15 SPEAKER: (NAME INDISCERNIBLE), UNIVERSITY
16 OF CALIFORNIA RIVERSIDE. MY QUESTION IS A
17 SCIENTIFIC QUESTION. I SAW IN ONE OF YOUR SLIDES
18 THAT YOU MENTION IMMUNOLOGY RIGHT THERE IN THE RIGHT
19 CORNER ALMOST OFF THE SLIDE. AND YOU ALSO MENTIONED
20 THAT YOU ARE LOOKING FOR WAYS TO UNDERSTAND THE
21 TOLERANCE OF THESE CELLS.

22 WELL, MY QUESTION IS, AS YOU ALSO CONSIDER
23 THAT, ONE OF THE VERY KEY ASPECTS HERE IS THAT MOST
24 DISEASES TODAY, WE KNOW, HAVE A VERY IMPORTANT KEY
25 COMPONENT OF INFLAMMATION. SO USING A STEM CELL

BARRISTERS' REPORTING SERVICE

1 TRANSPLANT WITHOUT CONTROLLING THAT ENVIRONMENT IS
2 GOING TO BE PROBLEMATIC IN MY VIEW. SO I WONDER WHY
3 THAT WASN'T ADDRESSED.

4 DR. CSETE: WE JUST HAD A WORKSHOP ON
5 IMMUNOLOGY FOR THIS REASON, AND I THINK IT WAS
6 REALLY TERRIFIC BECAUSE WE BROUGHT IN THE SOLID
7 ORGAN TRANSPLANT COMMUNITY WHICH HAS, YOU KNOW, A
8 HUGE EXPERIENCE IN IMMUNOLOGY, WITH OUR BASIC
9 SCIENTISTS IN IMMUNOLOGY FROM AROUND THE WORLD,
10 THESE WERE EXPERTS, TO REALLY UPDATE US ON WHAT THE
11 BEST WAY TO DEVELOP A RESEARCH AGENDA TO ATTACK THIS
12 ABSOLUTELY ESSENTIAL PROBLEM IN CELL THERAPIES IS.

13 SO WE'RE TAKING THE RESULTS OF THAT
14 WORKSHOP AS WELL AS OTHER INFORMATION TO CONSTRUCT A
15 SPECIFIC RFA TO ADDRESS THESE ISSUES.

16 DR. FRIEDMAN: PLEASE.

17 DR. HUGHES: KAREN HUGHES, CITY OF HOPE.
18 I JUST WANT TO SAY THAT FOR ME AND MY TEAM MEMBERS
19 WE'RE VERY APPRECIATIVE OF HOW CLEARLY YOU RECOGNIZE
20 THAT VALLEY OF DEATH BETWEEN THE NIH-FUNDED MORE
21 BASIC SCIENCE AND INDUSTRY FUNDING AFTER IT'S
22 ALREADY IN PATIENT TRIALS.

23 I WANT TO ASK YOU A LITTLE BIT MORE. YOU
24 STILL HAVE THIS REVIEW CRITERIA SPECIFICALLY PICKING
25 GRANTS THAT ARE NOT FUNDABLE BY OTHER SOURCES.

BARRISTERS' REPORTING SERVICE

1 HOWEVER, THE MAGNITUDE OF \$20 MILLION FOR FOUR YEARS
2 FOR A COMPREHENSIVE TEAM, EITHER IF SOME OF THIS
3 STUFF IS FUNDABLE BY NIH OR PRIVATE SOURCES A
4 HUNDRED THOUSAND AT A TIME, 50,000 AT A TIME,
5 THERE'S NO COMPARISON OVER THE 15 TO 20 YEARS IT
6 WOULD TAKE THAT WAY AS OPPOSED TO WHAT YOU ARE
7 OFFERING. THERE'S VERY FEW SOURCES OF \$20 MILLION
8 IN A FOUR-YEAR PERIOD, SO ARE YOU CONSIDERING --

9 DR. CSETE: I THINK MY RESPONSE TO ARLENE
10 CAPTURES EXACTLY THAT, THE NATURE OF THE WORK OR THE
11 ABILITY TO GET FUNDING ELSEWHERE. WE UNDERSTAND
12 THAT THE NATURE OF THE WORK AND ITS EXPENSE IS
13 IMPOSSIBLE TO GET FUNDED ELSEWHERE DEPENDING ON THE
14 PROJECT.

15 DR. TROUNSON: WE SAY FUNDING UP TO 20
16 MILLION, SO THESE STUDIES MIGHT COME IN A LOT LESS.
17 AND IN MANY CIRCUMSTANCES THE MATURITY OF SOME OF
18 THESE STUDIES MAY BE SUCH THAT IT REALLY ONLY TAKES
19 A FEW MILLION DOLLARS TO GET THEM THERE IN A MUCH
20 SHORTER TIME THAN FOUR YEARS. SO WE ARE JUST SAYING
21 IT'S NOT \$20-MILLION PROJECTS, BUT WE'RE GOING TO
22 FUND UP TO 20 MILLION IF IT'S PERSUASIVE THAT THE
23 ELEMENTS OF COST ARE GENUINE AND THE OPPORTUNITY
24 WILL COME.

25 DR. IVERSON: CAN I ADDRESS HIS COMMENT,

BARRISTERS' REPORTING SERVICE

1 PLEASE? \$20 MILLION IS NOTHING TO A VENTURE
2 CAPITALIST. IT'S EASY TO RAISE \$20 MILLION. IT'S A
3 LOT OF WORK AND HAS TO GO OUT AND BEAT THE PAVEMENT.
4 BUT TO USE CIRM MONEY, TO USE TAXPAYERS' MONEY,
5 ESSENTIALLY AS VENTURE CAPITAL MONEY, I THINK, IS
6 BEYOND THE PALE. REALLY NEED TO RECONSIDER THIS.
7 I'M NOT CERTAIN THAT PROPOSITION 71 EXPECTED
8 CALIFORNIA TAXPAYERS TO FUND CLINICAL TRIALS. DID
9 IT?

10 MR. ROTH: I'M DUANE ROTH. I'M A MEMBER
11 OF THE ICOC AND REPRESENT INDUSTRY. I WOULDN'T WANT
12 TO DEBATE YOU ABOUT THE STATUS OF VENTURE CAPITAL
13 FOR ANY GIVEN PARTICULAR REASON. BUT I THINK YOU
14 HEARD WHAT DR. WITTE TALKED ABOUT, THE VERY
15 DIFFICULT TIME EVEN, IN AN ECONOMY THAT WAS FAR
16 DIFFERENT YEARS AGO, JUST TO GET MONOCLONAL
17 ANTIBODIES TO THE POINT WHERE WE COULD ACTUALLY GET
18 PEOPLE TO INVEST IN THEM WAS YEARS AND YEARS. AND
19 YOU SEE A DRUG LIKE THE LEUKEMIA DRUG THAT ACTUALLY
20 CURED DISEASE, THAT WAS IMPOSSIBLE TO GET FUNDED FOR
21 A LONG PERIOD OF TIME.

22 SO MY VIEW IS THAT THE RESEARCH THAT WE
23 CAN THINK OF, BASIC RESEARCH, AS ALAN SAYS, YOU
24 PUBLISH PAPERS. I'VE NEVER READ A PAPER THAT CURED
25 A PATIENT, BUT PRODUCTS DO. AND THAT'S, I THINK,

BARRISTERS' REPORTING SERVICE

1 WHAT WE HAVE TO KEEP IN MIND HERE. YOU NEED THE
2 RESEARCH. WE NEED TO GO FORWARD, BUT EVENTUALLY YOU
3 HAVE TO MOVE INTO HUMAN CLINICAL TRIALS, AND
4 SOMETIMES YOU HAVE TO GO BACK. AND I'LL REMIND YOU,
5 PEOPLE IN THIS ROOM KNOW FAR AS BETTER THAN ME, BUT
6 THE FIRST ATTEMPT AT POLIO VACCINE BY JONAS SALK WAS
7 A DISASTER. IT SET US BACK TEN YEARS, BUT
8 EVENTUALLY WE GOT THERE, AND WE CURED THE DISEASE
9 THAT HAD WE NOT DONE THAT, THE CONSEQUENCES WOULD BE
10 DIRE.

11 SO WHILE THERE WILL BE RISK AND THERE ARE
12 THINGS WE NEED TO DO TO MOVE THIS FORWARD, THERE HAS
13 TO BE THAT BALANCE BACK AND FORTH. I THINK TODAY
14 THERE'S A LOT MORE TRANSPARENCY SO THAT WE CAN LOOK
15 AND STUDY EVERYTHING.

16 AND MAYBE THE FINAL COMMENT I'LL MAKE IS
17 ABOUT GETTING INDUSTRY INVOLVED. INDUSTRY IS GOING
18 TO BE NECESSARY TO COMMERCIALIZE THESE PRODUCTS.
19 WHEN I SAY COMMERCIALIZE, GETTING THEM TO THE
20 PATIENTS THAT NEED THEM. AND THEY ARE GOING TO BE
21 VERY RELUCTANT TO INVEST HEAVILY UNTIL THEY CAN SEE
22 THAT THERE'S ACTUALLY A SCIENCE HERE THAT WILL ALLOW
23 THAT TO GO FORWARD. SO TODAY I THINK FUNDING
24 RESEARCH INSTITUTES, WE'RE MOVING FURTHER THAN THEY
25 EVER HAVE IN THE PAST, TO EXPLORE THESE VERY EARLY

BARRISTERS' REPORTING SERVICE

1 STAGES IS IMPORTANT.

2 AND THE FINAL THING I'LL SAY IS ALMOST ALL
3 OF THE FUNDING YOU SEE GOING ON HERE IS TOO EARLY TO
4 FORM A BIOTECH COMPANY AND DO BIOTECH. IN SAN DIEGO
5 THAT'S WHAT I DO EVERY DAY. WE AREN'T STARTING ANY
6 THERAPEUTIC COMPANIES. AND THERAPEUTIC COMPANIES,
7 WE CAN'T FUND THEM. IT'S TOO EARLY FOR REAL MONEY.
8 IT'S TOO EARLY FOR A MANAGEMENT TEAM; AND,
9 THEREFORE, WE LIVE IN THAT VALLEY OF DEATH. AND
10 IT'S HEARTBREAKING TO SEE THESE PEOPLE THAT HAVE
11 REALLY GREAT IDEAS AND A POTENTIAL TO MOVE THINGS
12 FORWARD, AND THE VENTURE CAPITALISTS TO SAY, BUT,
13 DUANE, THEY NEED \$2 MILLION OR \$3 MILLION. WE DON'T
14 INVEST THAT SMALL AMOUNT OF MONEY. AND SECOND, WE
15 CAN'T ATTRACT A MANAGEMENT TEAM AT THIS POINT. WHAT
16 WOULD THEY DO? SIT AND WAIT FOR DATA.

17 HOW WE GET PEOPLE THROUGH THAT IS VERY
18 IMPORTANT. AND IF WE'RE SUCCESSFUL, I HOPE
19 INVESTORS MAKE MONEY. I HOPE PEOPLE WHO GET
20 INVOLVED PARTICIPATE IN THAT. BUT THE FAR GREATER
21 BENEFIT WILL BE TO SOCIETY BECAUSE IF YOU CURE
22 DISEASE OR WE DO THINGS LIKE THAT, THE BIGGEST,
23 BIGGEST REWARDS HAPPEN FOR ALL OF US WHO MIGHT GET
24 SICK OR HAVE A DISEASE LIKE THAT.

25 I THINK WE'VE JUST GOT TO STAY IN THIS

BARRISTERS' REPORTING SERVICE

1 TOGETHER. THERE NEEDS TO BE CHECKS AND BALANCES.
2 WE NEED TO LOOK CONSTANTLY BACK AND FORTH WHERE
3 WE'RE SPENDING THE MONEY. I THINK YOUR INPUT, ALL
4 OF IT TODAY, HAS BEEN VERY HELPFUL TO HAVE. THANK
5 YOU FOR LETTING ME COMMENT.

6 DR. TROUNSON: I THINK THERE SHOULDN'T BE
7 ANY DOUBT THAT UNDER PROPOSITION 71 WE'RE ABLE TO
8 SUPPORT CLINICAL STUDIES, CLINICAL TRIALS. I THINK
9 IF MY LEGAL PARTNERS AND EVERYBODY AT CIRM IS THAT
10 WE ABSOLUTELY UNDERSTAND THAT WE CAN BE SUPPORTIVE
11 OF CLINICAL TRIALS. IN MY VIEW WE SHOULD DO WHAT'S
12 NECESSARY TO HELP THIS PROCESS GET STARTED TO THE
13 PATIENT.

14 DR. FRIEDMAN: PLEASE, YOU HAVE A QUESTION
15 OR COMMENT?

16 SPEAKER: (NAME INDISCERNIBLE), CITY OF
17 HOPE. I ACTUALLY HAVE MORE OF A REQUEST THAN A
18 COMMENT. IT HAS TO DO WITH STEM CELL RESEARCH AND
19 OVERSIGHT COMMITTEES. WE ARE REQUIRED TO HAVE ONE
20 OF THESE TO OVERSEE THE STEM CELL WORK WE DO ON
21 CAMPUS, YET AT LEAST IN OUR EXPERIENCE THE
22 IMPLEMENTATION OF THIS BODY HAS BEEN THROUGH OUR OWN
23 READING OF THE HEALTH CODES AND COLLABORATION WITH
24 OTHER INSTITUTIONS. NOW WE'RE ALL TALKING TO EACH
25 OTHER, I THINK IT WOULD BE REALLY GOOD IF CIRM COULD

BARRISTERS' REPORTING SERVICE

1 GIVE MORE CLEAR GUIDANCE OF THE ROLE AND THE SCOPE
2 OF THESE BODIES AS THE SCIENCE EVOLVES AND MOVES
3 FORWARD.

4 DR. CSETE: SO WE DO HAVE A SCIENCE
5 OFFICER WHO IS REALLY EXPERT IN THIS AREA, GEOFF
6 LOMAX, AND HE'S BEEN GOING AROUND SYSTEMATICALLY
7 TRYING TO VISIT THE SCRO'S -- I GUESS HE HASN'T BEEN
8 DOWN HERE YET -- TO HELP YOU WITH YOUR PROCESSES AND
9 STREAMLINING THINGS AND REALLY JUST FACILITATING
10 YOUR WORK BECAUSE WE KNOW IT'S QUITE A BURDEN
11 ADMINISTRATIVELY.

12 AFTER THE LAST STANDARDS WORKING GROUP
13 MEETING, WE SAT DOWN WITH TWO OF THE PEOPLE WHO RUN
14 SCRO COMMITTEES AT OTHER LARGE INSTITUTIONS AND
15 DEVELOPED A PLAN TO BRING UP THE SCRO LEADERS TO ALL
16 SIT DOWN TOGETHER IN THE CIRM OFFICES SOMETIME SOON
17 SO THAT THEY CAN EXCHANGE BEST PRACTICES, SO THAT'S
18 ON THE BOOKS.

19 DR. FRIEDMAN: YES, PLEASE.

20 MR. SIMPSON: JOHN SIMPSON, CONSUMER
21 WATCHDOG. WE'VE BEEN TALKING A LITTLE BIT ABOUT
22 FUNDING, AND I'M JUST WONDERING IF WE CAN GET AN
23 UPDATE RIGHT NOW ON WHERE THAT STANDS. UNLESS -- AS
24 I UNDERSTAND IT, UNLESS EITHER THE STATE SELLS BONDS
25 OR THEY GET PRIVATELY PLACED, YOU WILL RUN OUT OF

BARRISTERS' REPORTING SERVICE

1 MONEY FOR YOUR CURRENT COMMITMENTS PROBABLY IN
2 SEPTEMBER. SO NONE OF US WANTS TO SEE THAT HAPPEN.
3 I'M JUST CURIOUS WHERE WE STAND WITH THAT.

4 DR. TROUNSON: WELL, WE HAVE IN PROGRESS,
5 IN TERMS OF HAVING MORE MONEY IN THE BANK AT THIS
6 POINT, JOHN. WE HAVE A PLAN TO CUT DOWN OUR
7 PROGRAM, NOT -- IN ORDER TO BRING IT DOWN INTO A
8 MORE CUT-DOWN BASIS. AND IF WE'RE ABLE TO RAISE
9 \$200 MILLION IN TWO TRANCHES THIS YEAR IN A CUT-DOWN
10 FRAMEWORK, WHICH WE WOULDN'T ALTER OUR RFA'S, BUT
11 WOULD REDUCE THE AMOUNT THAT WE'RE GOING TO BE
12 PASSING OUT, WE WOULD STAY ON TRACK.

13 NOW, IF YOU'RE ASKING ME MY ECONOMIC
14 ANALYSIS ADVICE ON THAT, YOU HAVE TO TAKE THAT WITH
15 A LARGE GRAIN OF SALT. BUT UNDERSTAND THAT THE
16 AVAILABILITY OF PRIVATE BONDS GUARANTEED BY THE
17 STATE THREE YEARS WITH A REASONABLE PERCENTAGE
18 INTEREST IS ATTRACTIVE. AND SO I HAVE A FEELING
19 PERSONALLY, WHICH MEANS NOTHING MORE THAN THAT, THAT
20 WE WILL BE ABLE TO DO THE 200 MILLION.

21 NOW, IN ORDER TO BE ABLE TO COME BACK ON
22 OUR NORMAL BOND PROGRAM, WE'RE ENVISAGING THAT IT
23 MIGHT TAKE TWO YEARS IN ORDER NOT TO PUSH OUR WAY
24 INTO THE LINE AND PUSH THE UNFORTUNATE MENTAL HEALTH
25 PATIENTS, TEACHERS, ETC., OUT OF THE WAY.

BARRISTERS' REPORTING SERVICE

1 SO ON THAT BASIS, TO HAVE THAT PROGRAM
2 OPERATE AT THE REALLY HIGH RATE WE'RE OPERATING, WE
3 WOULD NEED ANOTHER \$150 MILLION NEXT YEAR, BUT I'M
4 NOT COUNTING THAT AT THIS STAGE. I'D LIKE TO SEE
5 HOW WE GO WITH THE BOND RAISING THIS YEAR ON A
6 CUT-DOWN PROGRAM. I THINK WE'LL BE ABLE TO DO IT.
7 MY FEELING IS OF SOME CONFIDENCE, BUT I AM NO EXPERT
8 IN THE ECONOMIC MATTER. BUT MYSELF, AND
9 PARTICULARLY JOHN ROBSON, WHO IS HERE, HAS BEEN
10 WORKING ON THIS TO SEE WHAT WOULD BE THE BEST SET OF
11 OPPORTUNITIES FOR RECOMMENDATIONS TO GO TO THE
12 UPCOMING BOARD ABOUT WHAT WE SEE AS PRIORITIES IN
13 ORDER TO DO THIS CUT-DOWN PROGRAM.

14 THERE WILL -- OF COURSE, WHATEVER CUT-DOWN
15 COMPONENT THERE IS WILL CAUSE SOME PAIN, NO DOUBT;
16 BUT THE WHOLE COMMUNITY IS SUFFERING, SO WE
17 RECOGNIZE THAT THERE'S GOING TO BE SOME DIFFICULTY
18 IN MAKING IT THROUGH THESE NEXT TWO YEARS FOR
19 EVERYBODY AND WISH IT WASN'T LIKE THAT. BUT WE HOPE
20 THAT WHAT WE RECOMMEND WILL BE ADOPTED OR SOMETHING
21 LIKE THAT WILL BE ADOPTED BY THE ICOC, AND WE'LL BE
22 ABLE TO CONTINUE OUR PROGRAMS.

23 DR. FRIEDMAN: YES.

24 DR. IVERSON: WHAT IS THE CURRENT INTEREST
25 RATE CIRM IS PAYING ON ITS BOND DEBT?

BARRISTERS' REPORTING SERVICE

1 DR. TROUNSON: I'M NOT AN EXPERT AT THAT.
2 SO YOU CAN ASK OUR LEGAL PERSON.

3 MR. SWEEDLER: I DON'T KNOW THE INTEREST
4 RATE FOR THE BONDS THAT HAVE ALREADY BEEN SOLD.
5 THAT'S DETERMINED BY THE TREASURER'S OFFICE, WHICH
6 DOES THAT FOR ALL STATE BONDS. AND THE TREASURER'S
7 OFFICE WILL BE DETERMINING THE INTEREST RATE FOR THE
8 BONDS THAT ARE CURRENTLY IN THE WORKS FOR PRIVATE
9 PLACEMENT, SO THAT'S NOT SOMETHING THAT CIRM
10 CONTROLS. THE STATE TREASURER DOES THAT FOR ALL
11 STATE FUNDING.

12 DR. IVERSON: A RANGE, IS IT 2 PERCENT, 5
13 PERCENT.

14 MR. SWEEDLER: I DON'T KNOW.

15 DR. TROUNSON: WE THINK IT'S AROUND 5
16 PERCENT; BUT, AGAIN, IT'S NOT OUR DECISION, SO
17 THAT'S WHAT WE THINK IT WILL BE.

18 MR. GIBBONS: TALKING ABOUT 3 TO 5 PERCENT
19 IN CURRENT DISCUSSIONS, TAXABLE.

20 MR. SIMPSON: AND THE CURRENT ONES --

21 DR. FRIEDMAN: IF I COULD ASK PEOPLE TO
22 USE THE MICROPHONE, PLEASE, TO CAPTURE ALL THESE
23 COMMENTS.

24 DR. TROUNSON: I THINK DON GIBBONS MADE
25 THE POINT THAT IN THE CURRENT DISCUSSIONS IT'S

BARRISTERS' REPORTING SERVICE

1 AROUND 4 TO 5 PERCENT.

2 MR. GIBBONS: CORRECT.

3 DR. FRIEDMAN: OTHER COMMENTS OR
4 QUESTIONS, PLEASE.

5 DR. TROUNSON: WE REALLY APPRECIATE ALL OF
6 THE INPUTS. JUST BECAUSE WE'RE ANSWERING THEM,
7 DON'T THINK WE'RE DEFENSIVE, BECAUSE I THINK IN THE
8 SENSE THAT WE WANT THE INPUTS, AND SO I THINK THAT
9 HELPS US. THIS MEETING IS TRANSCRIBED, AND THANKS
10 VERY MUCH. IT'S A DIFFICULT JOB OF DOING THAT. SO
11 THERE WILL BE A PERMANENT RECORD OF IT, SO YOUR
12 VIEWS WILL BE KEPT AS RECORD FOR THOSE MEMBERS OF
13 THE BOARD WHO ARE NOT HERE. AND WE HAVE A NUMBER OF
14 OUR COLLEAGUES FROM THE BOARD, AND IT'S GREAT TO
15 HAVE THEM HERE. THEY WILL OBVIOUSLY TAKE THIS
16 MESSAGE BACK, BUT THE OTHER BOARD MEMBERS WILL BE
17 ABLE TO READ THIS.

18 OUR CHAIRMAN OF THE BOARD, BOB KLEIN, WILL
19 BE READING IT. HE TOLD ME THAT HE APOLOGIZES FOR
20 NOT BEING HERE; BUT BECAUSE OF THE TRANSCRIPT, HE'LL
21 BE ABLE TO READ THE COMMENTS.

22 SO IF THERE ARE OTHER COMMENTS AND OTHER
23 INPUTS THAT YOU WANT TO MAKE, THE NATURE OF CIRM IS
24 TO BE OPEN AND TO ACCEPT THEM AND TO RECEIVE THEM.
25 SO THE BEST WAY OF DOING IT, I THINK, AT THIS POINT

BARRISTERS' REPORTING SERVICE

1 IS IF YOU ADDRESS YOUR QUERIES TO DON GIBBONS AT THE
2 INSTITUTE, AND HE THEN FARMS THEM OUT TO THE PEOPLE
3 WHO WILL RESPOND APPROPRIATELY SO THAT WE ENSURE
4 THAT SOMEBODY WHO HAS THE EXPERTISE TO ANSWER THE
5 QUESTIONS WILL ANSWER THEM.

6 THIS IS PART OF THE PROCESS FOR US TO
7 UNDERSTAND WHAT WE SHOULD DO IN TERMS OF REVISION OF
8 OUR STRATEGIC PLAN. IT IS A PLAN WHICH WORKS IN
9 REAL TIME, SO IT IS ALREADY DIFFERENT TO WHAT WAS
10 WRITTEN IN 2006, BUT WE WANTED TO GET YOUR VIEWS,
11 YOUR FEELINGS, AND YOUR CRITICISMS ABOUT THE
12 DIRECTION THAT WE WOULD HOPE THAT WE MIGHT BE ABLE
13 TO TAKE IN ORDER TO FURTHER OUR MISSION. AND OUR
14 MISSION IS THE PRIMARY TASK THAT DRIVES US. SO
15 PLEASE FEEL FREE TO CONTACT US. AND DO THAT, IF YOU
16 WILL, THROUGH DON GIBBONS AT CIRM, AND YOU WILL FIND
17 HIS OFFICE VERY RESPONSIVE TO YOUR QUESTIONS OR YOUR
18 CRITICISMS OR YOUR ADVICE. AND WE THANK YOU FOR ALL
19 YOUR INPUTS. AND, MICHAEL, THANK YOU FOR PROVIDING
20 THIS FORUM FOR US.

21 (APPLAUSE.)

22 DR. FRIEDMAN: YOU'RE VERY WELCOME. LET
23 ME JUST CLOSE THE MEETING, IF I CAN, BY THANKING THE
24 CIRM STAFF FOR HAVING THIS MEETING HERE, TO ALL THE
25 PARTICIPANTS IN THE AUDIENCE FOR YOUR THOUGHTFUL

BARRISTERS' REPORTING SERVICE

1 QUESTIONS AND COMMENTS. TO REITERATE ALAN'S POINT,
2 THIS IS A PROCESS OF PROGRESSIVE REVELATION WHERE
3 IDEAS, SUGGESTIONS, COMMENTS, NEW SCIENCE DRIVES HOW
4 THIS ORGANIZATION ACTUALLY SPENDS OUR COLLECTIVE
5 MONEY. AND THAT THIS IS A VERY IMPORTANT ACTIVITY.
6 YOUR PARTICIPATION HERE TODAY IS VERY MUCH
7 APPRECIATED AND VERY MUCH RECOGNIZED.

8 I THINK WHAT WE SAW HERE TODAY IS WHAT I
9 HOPED WE WOULD SEE, WHICH IS A TENSION BETWEEN
10 COMPETING OPPORTUNITIES, NEEDS, AND CONCERNS. AND
11 THIS IS A TENSION WHICH DAILY DRIVES THE CIRM STAFF
12 AND, IN FACT, DRIVES THE SCIENTISTS TO PURSUE THESE
13 WONDERFUL IDEAS.

14 YOU'VE HEARD DISCUSSION TODAY HOW MUCH
15 EMPHASIS BASIC SINCE VERSUS HOW MUCH EMPHASIS ON
16 TRANSLATIONAL OR CLINICAL SCIENCE. IT'S A
17 WONDERFULLY IMPORTANT TOPIC. THERE'S NOT A SIMPLE
18 ANSWER. IT REQUIRES ONGOING ATTENTION AND
19 CONSIDERATION. YOU'VE HEARD VARIOUS COMPETITIONS
20 BETWEEN PARTICULAR DISCIPLINES, THE IMPORTANCE OF
21 IMMUNOLOGY, THE IMPORTANCE OF SMALL MOLECULE HIGH
22 THROUGHPUT SCREENING. IT'S NOT THAT ONE IS GOOD AND
23 ONE IS BAD. THESE ARE EXCELLENT IDEAS, AND IT'S NOT
24 JUST TWO THAT ARE COMPETING, BUT LITERALLY DOZENS.
25 AND THIS IS THE CHALLENGE THAT ALAN AND MARIE AND

BARRISTERS' REPORTING SERVICE

1 OTHERS ARE LOOKING AT TO SEE HOW DO WE TAKE THE
2 PRECIOUS DOLLARS THAT HAVE BEEN INVESTED BY THE
3 CITIZENS OF CALIFORNIA IN THIS DIFFICULT ECONOMIC
4 TIME, HOW DO WE TAKE THE HOPES AND ASPIRATIONS OF
5 PATIENTS, THEIR FAMILIES, THEIR ADVOCATES WHO WANT
6 BETTER OPTIONS, AND HOW DO WE TAKE THE
7 RESPONSIBILITIES OF THE SCIENTISTS WHO ARE GENUINELY
8 LOOKING FOR WHAT IS THE BEST WAY TO DISCOVER NEW
9 KNOWLEDGE AND MAKE THAT KNOWLEDGE MEANINGFUL FOR ITS
10 OWN SAKE FOR FUNDABLE APPLICATIONS.

11 THESE ARE VERY DIFFICULT, VERY DEMANDING
12 TENSIONS THAT FORTUNATELY WE HAVE SOME OF THE BEST
13 SCIENTISTS IN THE WORLD AND SOME OF THE MOST SKILLED
14 AND THOUGHTFUL LEADERS OF THIS ORGANIZATION. IT'S A
15 PRIVILEGE FOR ME TO SERVE ON THE INDEPENDENT
16 CITIZENS OVERSIGHT COMMITTEE, AND I APPRECIATE YOUR
17 PARTICIPATION TODAY. SO ON BEHALF OF EVERYONE THANK
18 YOU VERY MUCH.

19 (APPLAUSE.)

20 (THE MEETING WAS THEN CONCLUDED AT 11:28 A.M.)

21
22
23
24
25

BARRISTERS' REPORTING SERVICE

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF A PUBLIC HEARING IN RE PUBLIC COMMENT ON THE UPDATED STRATEGIC PLAN HELD AT THE LOCATION INDICATED BELOW

THE CITY OF HOPE
DUARTE, CALIFORNIA
ON
MARCH 5, 2009

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152
BARRISTER'S REPORTING SERVICE
1072 S.E. BRISTOL STREET
SUITE 100
SANTA ANA HEIGHTS, CALIFORNIA
(714) 444-4100