

BETH C. DRAIN, CA CSR NO. 7152

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
AND THE
APPLICATION REVIEW SUBCOMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: AS INDICATED ON THE AGENDA

DATE: JANUARY 19, 2017
10 A.M.

REPORTER: BETH C. DRAIN, CSR
CA CSR. NO. 7152

FILE NO.: 2017-02

133 HENNA COURT, SANDPOINT, IDAHO 83864
208-255-5453 208-920-3543 DRAIBE@HOTMAIL.COM

I N D E X

ITEM DESCRIPTION	PAGE NO.
OPEN SESSION	
1. CALL TO ORDER.	3
2. ROLL CALL.	3
3. CONSIDERATION OF APPLICATIONS SUBMITTED IN RESPONSE TO THE DISC 2 PROGRAM ANNOUNCEMENT - PARTNERING OPPORTUNITY FOR DISCOVERY STAGE RESEARCH PROJECTS: THE QUEST AWARDS.	4
CLOSED SESSION	NONE
4. DISCUSSION OF CONFIDENTIAL INTELLECTUAL PROPERTY OR WORK PRODUCT, PREPUBLICATION DATA, FINANCIAL INFORMATION, CONFIDENTIAL SCIENTIFIC RESEARCH OR DATA, AND OTHER PROPRIETARY INFORMATION RELATING TO APPLICATIONS SUBMITTED IN RESPONSE TO THE DISC 2 PROGRAM ANNOUNCEMENT - PARTNERING OPPORTUNITY FOR DISCOVERY STAGE RESEARCH PROJECTS: THE QUEST AWARDS. (HEALTH & SAFETY CODE 125290.30(F) (3) (B) AND (C)).	
5. PUBLIC COMMENT:	
ITEM 3	32
GENERAL PUBLIC COMMENT	NONE
6. ADJOURNMENT.	72

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

THURSDAY, JANUARY 19, 2017

10 A.M.

CHAIRMAN THOMAS: GOOD MORNING, EVERYBODY.
WELCOME TO THE REGULAR MONTHLY MEETING OF THE ICOC
AND THE APPLICATION REVIEW SUBCOMMITTEE. LIKE TO
PROCEED WITHOUT FURTHER ADO. MARIA, WILL YOU PLEASE
CALL THE ROLL.

MS. BONNEVILLE: I WILL. DAVID BRENNER.
KEN BURTIS. DEBORAH DEAS. ANNE-MARIE DULIEGE.

DR. DULIEGE: YES.

MS. BONNEVILLE: HOWARD FEDEROFF. JUDY
GASSON. SAM HAWGOOD. DAVID HIGGINS.

DR. HIGGINS: HERE.

MS. BONNEVILLE: STEVE JUELSGAARD.

MR. JUELSGAARD: HERE.

MS. BONNEVILLE: SHERRY LANSING. KATHY
LAPORTE. BERT LUBIN. SHLOMO MELMED. LAUREN
MILLER. LLOYD MINOR. ADRIANA PADILLA. JOE
PANETTA. FRANCISCO PRIETO.

DR. PRIETO: HERE.

MS. BONNEVILLE: CARMEN PULIAFITO. ROBERT
QUINT.

DR. QUINT: HERE.

MS. BONNEVILLE: AL ROWLETT.

1 MR. ROWLETT: HERE.
2 MS. BONNEVILLE: JEFF SHEEHY. OS STEWARD.
3 DR. STEWARD: HERE.
4 MS. BONNEVILLE: JONATHAN THOMAS.
5 CHAIRMAN THOMAS: HERE.
6 MS. BONNEVILLE: ART TORRES.
7 MR. TORRES: HERE.
8 MS. BONNEVILLE: KRISTINA VUORI. DIANE
9 WINOKUR.
10 MS. WINOKUR: HERE.
11 MS. BONNEVILLE: THANK YOU.
12 CHAIRMAN THOMAS: THANK YOU, EVERYBODY.
13 AND A HAPPY NEW YEAR TO ALL.
14 WE'RE GOING TO PROCEED TO THE MAIN ITEM OF
15 BUSINESS, WHICH IS CONSIDERATION OF APPLICATIONS
16 SUBMITTED IN RESPONSE TO THE DISC2 PROGRAM
17 ANNOUNCEMENT, WHICH IS PARTNERING OPPORTUNITIES FOR
18 DISCOVERY STAGE RESEARCH PROJECTS, SO-CALLED QUEST
19 AWARDS. I'M GOING TO TURN OVER FOR PRESENTATION NOW
20 TO DR. GIL SAMBRANO.
21 DR. SAMBRANO: THANK YOU VERY MUCH, MR.
22 CHAIRMAN. I'M GOING TO GO OVER AN OVERVIEW OF THE
23 QUEST PROGRAM FOR WHICH WE ARE BRINGING APPLICATIONS
24 FOR YOUR CONSIDERATION TODAY. I'M GOING TO GO
25 THROUGH THE SLIDE DECK THAT WAS DISTRIBUTED. IF YOU

1 HAVE IT ON HAND, THAT MIGHT BE HELPFUL. WE ARE ALSO
2 GOING TO SHOW IT ON WEBEX. WE'RE HAVING LITTLE
3 TECHNICAL CHALLENGES IN TERMS OF THE ORIENTATION OF
4 IT, BUT I WILL TRY TO MAKE MY PRESENTATION AS SLIDE
5 INDEPENDENT AS I CAN SO THAT YOU CAN FOLLOW ALONG
6 REGARDLESS.

7 SO THE FIRST POINT I WANT TO MAKE AND WHAT
8 IS SHOWN ON SLIDE 2 IS OUR FUNDING OPPORTUNITY THAT
9 WE HAVE ESTABLISHED AT CIRM. THIS ONE, THE QUEST
10 PROGRAM, FALLS UNDER THE DISCOVERY GROUP OF
11 OPPORTUNITIES, AND IT IS OFFERED TWICE A YEAR, SO
12 EVERY SIX MONTHS. AND GIVEN THE WAY WE'VE ADJUSTED
13 THE CALENDAR FOR 2017, THE NEXT DEADLINE FOR THE
14 QUEST PROGRAM IS COMING UP PRETTY SOON ON FEBRUARY
15 15TH. SO, AS ALWAYS, ANY OF OUR ONGOING
16 OPPORTUNITIES, APPLICATIONS THAT ARE NOT FUNDED
17 DURING THIS CYCLE ARE FREE TO APPLY TO THE NEXT
18 CYCLE WHICH WILL COME FEBRUARY 15TH.

19 ON THE THIRD SLIDE, JUST ILLUSTRATING WHAT
20 THE OBJECTIVE OF THE QUEST PROGRAM IS, WHICH IS TO
21 PROMOTE DISCOVERY OF NEW STEM CELL-BASED
22 TECHNOLOGIES THAT WILL BE READY FOR TRANSLATIONAL
23 STUDY WITHIN TWO YEARS. SO THE GOAL HERE IS TO VERY
24 QUICKLY TAKE WHAT WOULD BE PROOF OF CONCEPT STUDIES
25 AND MAKE THEM READY FOR THE NEXT FUNDING OPPORTUNITY

1 OF THE TRAN PROGRAM AT CIRM. AND THEN THESE
2 PROJECTS, WE HOPE, WILL ULTIMATELY MAKE IT TO THE
3 CLINIC AND IMPACT PATIENT CARE.

4 NOW, ON THE FOURTH SLIDE, I BEGIN TO
5 DESCRIBE WHAT IT IS THAT QUALIFIES FOR THIS QUEST
6 PROGRAM. AND THERE ARE DIFFERENT TYPES OF PROJECTS
7 THAT CAN COME IN THAT PROPOSE A CANDIDATE THAT WOULD
8 EITHER BE A THERAPEUTIC, A DIAGNOSTIC, A MEDICAL
9 DEVICE, OR PERHAPS A TOOL.

10 AND THEN ON SLIDE 5, ANY OF THESE PRODUCT
11 TYPES COULD INVOLVE A STEM OR PROGENITOR CELL
12 THERAPY, A REPROGRAMMED CELL THAT IS ALSO A CELL
13 THERAPY, A SMALL MOLECULE OR BIOLOGIC THAT
14 STIMULATES, RECRUITS, OR TARGETS HUMAN ENDOGENOUS
15 STEM CELLS OR CANCER STEM CELLS. IT COULD BE A
16 DEVICE, DIAGNOSTIC, OR TOOL THAT IN SOME WAY USES A
17 STEM OR PROGENITOR CELL, FOR EXAMPLE, TO DO
18 SCREENING OF SMALL MOLECULE DRUGS OR OTHER, AND ONE
19 THAT MIGHT ADDRESS A CRITICAL BOTTLENECK IN THE STEM
20 CELL THERAPY FIELD; FOR EXAMPLE, HOW TO DELIVER A
21 CELL THERAPY INTO A PARTICULAR AREA.

22 SO THE PROJECTS THAT WE ARE PRESENTING TO
23 YOU THAT HAVE BEEN REVIEWED HAVE ALREADY GONE
24 THROUGH AN ELIGIBILITY ASSESSMENT. SO WE'VE GONE
25 THROUGH TO MAKE SURE THAT EVERYTHING THAT HAS COME

1 IN FITS WITHIN THESE CRITERION. SO THEY ARE ALL
2 ELIGIBLE. AND OUR INSTRUCTIONS TO REVIEWERS WHEN
3 THEY REVIEWED THEM WAS THAT THESE ARE ELIGIBLE. AND
4 SO THE FOCUS OF THE REVIEW FOR THE GWG FOCUSED ON
5 FOUR MAIN CRITERIA, WHICH ARE SHOWN ON SLIDE 6.

6 AND THESE ARE DOES THE PROJECT HOLD THE
7 NECESSARY SIGNIFICANCE AND POTENTIAL FOR IMPACT?
8 THAT IS, DOES IT ALIGN WELL WITH THE QUEST PROGRAM
9 ANNOUNCEMENT, AND DOES IT DELIVER VALUE, IF
10 SUCCESSFUL, THAT COULD ULTIMATELY IMPACT PATIENTS.

11 SECOND, IS THE RATIONALE SOUND? THAT IS,
12 IS THIS SOMETHING THAT MAKES SENSE, AND DOES THE
13 PROPOSAL HAVE SUPPORTING DATA THAT SHOWS THAT THIS
14 IS A GOOD APPROACH?

15 THIRD, IS THE PROJECT WELL-PLANNED AND
16 DESIGNED?

17 AND FOURTH, IS THE PROJECT FEASIBLE? THAT
18 IS, DO THEY HAVE THE RESOURCES AVAILABLE TO CONDUCT
19 THE WORK? DO THEY HAVE A QUALIFIED TEAM? AND HAVE
20 THEY SET OUT AN APPROPRIATE TIMELINE TO ACCOMPLISH
21 THE WORK WITHIN THE EXPECTED TWO YEARS? SO THAT WAS
22 THE FOCUS OF THE GWG WHEN THEY LOOKED AT THESE
23 PROPOSALS.

24 ON THE NEXT SLIDE I'LL DESCRIBE THE
25 SCORING SYSTEM THAT THE GWG THEN USES TO ASSESS THE

1 MERIT OF THE APPLICATIONS BASED ON THOSE CRITERIA.
2 WE HAVE A SCALE OF 1 TO 100 WITH A SCORE OF 85 TO
3 100 BEING A RECOMMENDATION TO FUND. AND WHEN
4 ASSIGNING A SCORE OF 1 TO 84, IT'S NOT RECOMMENDED
5 FOR FUNDING.

6 WE INSTRUCT REVIEWERS TO USE THE FULL
7 SCALE FROM 1 TO 100 IN ORDER TO ASSESS MERIT. SO
8 WHEN AN INDIVIDUAL REVIEWER ASSIGNS A SCORE, THEY'RE
9 DOING A COUPLE OF THINGS. FIRST, THEY'RE
10 DETERMINING IF AN APPLICATION SHOULD BE FUNDED OR
11 NOT; THAT IS, THEY FIRST DETERMINE IS THIS SOMETHING
12 I WANT TO SCORE WITHIN THE 85 TO 100 RANGE OR 1 TO
13 84. AND THEN THEY DETERMINE HOW FAR, HOW CLOSE TO
14 THAT FUND LINE THE APPLICATION WOULD BE. AND WE DO
15 ENCOURAGE THEM TO USE THE FULL SCALE BECAUSE IT IS
16 IMPORTANT BOTH FOR YOU, THE BOARD MEMBERS, AS WELL
17 AS THE PUBLIC AND THE APPLICANTS TO UNDERSTAND IF IT
18 IS NOT RECOMMENDED FOR FUNDING, HOW FAR OFF WERE
19 THEY. AND SO THE MORE INSTRUCTIVE THE SCORE CAN BE,
20 THE BETTER IT IS FOR ALL.

21 SO ALL APPLICATIONS ARE SCORED BY THE
22 SCIENTIFIC MEMBERS, AND WE USE, THEREFORE, THE
23 MEDIAN OF ALL INDIVIDUAL GWG SCORES TO DETERMINE THE
24 FINAL SCORE. AND THE MEDIAN IS WHAT PLACES THE
25 APPLICATION IN THE FUND OR NOT FUND CATEGORY. THAT

1 IS, IT'S THE VOTE. SO 50 PERCENT OR MORE OF THE GWG
2 MEMBERS HAVE TO SCORE 85 OR ABOVE TO PLACE IT IN
3 THAT CATEGORY. AND THEN WITHIN EACH OF THOSE
4 CATEGORIES WE USE THE MEAN TO RANK THE APPLICATIONS
5 WITHIN THAT CATEGORY.

6 SO FOR THE GWG RECOMMENDATIONS ON THIS
7 PARTICULAR CYCLE OF QUEST, WE HAD, FOLLOWING THE
8 DISCUSSION AND SCORING OF THESE APPLICATIONS, 14
9 THAT FELL INTO THE RECOMMENDED FOR FUNDING CATEGORY.
10 AND THE OVERALL APPLICANT REQUEST, MEANING THE TOTAL
11 DOLLAR AMOUNT THAT IS REQUESTED IN ALL OF THESE 14
12 APPLICATIONS, TOTALS TO \$12.5 MILLION. HOWEVER, THE
13 FUNDS THAT ARE AVAILABLE, BASED ON APPROVAL BY THE
14 ICOC FOR THIS PARTICULAR CYCLE, IS ABOUT 21.4
15 MILLION. SO THERE IS A DEFICIT OF ABOUT 4 MILLION
16 THAT WOULD PREVENT US FROM ACTUALLY FUNDING ALL 14.
17 SO THAT IS IMPORTANT TO KNOW AS WE STEP INTO THIS.

18 THE NEXT SLIDE IS JUST A REMINDER THAT AT
19 THE CONCLUSION OF EACH GWG REVIEW, WE HAVE ALL
20 MEMBERS AND THE PATIENT ADVOCATE MEMBERS, WHO ARE
21 ALSO REPRESENTATIVES ON THE BOARD, TO VOTE ON THE
22 PROCESS THAT WAS DONE AS WELL; THAT IS, ON THE
23 SCIENTIFIC RIGOR OF THE REVIEW. AND THE GWG VOTED
24 UNANIMOUSLY THAT THE RIGOR AND THE FAIRNESS OF THE
25 REVIEW WAS CONDUCTED IN AN APPROPRIATE MANNER.

1 SO WHAT I'M GOING TO DO NEXT IS I'M GOING
2 TO JUST BRIEFLY GO OVER EACH OF THE APPLICATIONS
3 THAT ARE IN THE TOP TIER CATEGORY. I'M GOING TO TRY
4 TO DO THIS AS BRIEFLY AS I CAN, BUT TRY TO PROVIDE
5 TO YOU AS MUCH INFORMATION THAT MIGHT BE USEFUL JUST
6 TO MAKE SURE THAT YOU UNDERSTAND AND KNOW WHAT EACH
7 OF THESE APPLICATIONS IS ABOUT.

8 SO I'M GOING TO START WITH THE TOP ONE,
9 JUST GOING IN RANK ORDER, WITHIN THAT CATEGORY. SO
10 FOR APPLICATION 9526, WHICH IS ENTITLED, "GENE
11 EDITING FOR FOXP3 IN HUMAN HSC'S," THIS IS AN
12 APPLICATION THAT ADDRESSES WHAT'S CALLED THE IPEX
13 SYNDROME. IT IS A RARE CHILDHOOD AND,
14 UNFORTUNATELY, LETHAL AUTOIMMUNE SYNDROME THAT
15 AFFECTS THE GUT, SKIN, GLANDS, AND IT'S CAUSED BY A
16 MUTATION IN THE FOXP3 GENE IN T-CELLS.

17 SO THE APPROACH THAT THEY ARE TAKING IS A
18 GENE-MODIFIED CELL THERAPY APPROACH, AND THEIR GOAL
19 IS TO CONDUCT PROOF OF CONCEPT STUDIES TO TRANSLATE
20 THIS FOXP3 GENE EDITING OF HSC'S TO THE NEXT STAGE.

21 THE APPLICATION GOT THE TOP SCORE OF 95
22 WITH A MEAN OF 93, AND 15 OUT OF THE 15 SCIENTIFIC
23 MEMBERS SCORED IT IN THE RECOMMENDED FOR FUNDING
24 CATEGORY.

25 SOME OF THE STRENGTHS AND WEAKNESSES THAT

1 WERE IDENTIFIED: REVIEWERS THOUGHT THAT THIS WAS AN
2 IDEAL CANDIDATE FOR A TARGETED GENE THERAPY
3 APPROACH. THEY FOUND THAT THE APPROACH IS ONE THAT
4 WOULD BE HIGHLY EFFICIENT AND ONE THAT WOULD HAVE A
5 HIGH LIKELIHOOD TO WORK. AND IT PROVIDES
6 POTENTIALLY A GOOD EXAMPLE OF WHAT MIGHT BE A
7 CURATIVE POTENTIAL OF STEM CELLS. AND THE
8 PRELIMINARY DATA, THEY FELT, WAS CONVINCING, AND IT
9 WAS PUT TOGETHER BY A GREAT INVESTIGATIVE TEAM.

10 CONCERNS WERE RATHER MINOR. THERE WAS A
11 COMMENT ABOUT THE RARITY OF THE DISEASE SO THAT THE
12 IMPACT, THE BROAD IMPACT, MIGHT BE MUTED, ALTHOUGH
13 IT WAS ALSO RECOGNIZED THAT THIS COULD BE APPLICABLE
14 IN OTHER SETTINGS.

15 IN TERMS OF OTHER PROJECTS THAT CIRM IS
16 FUNDING, THERE ARE NO CURRENTLY FUNDED PROJECTS THAT
17 ARE SPECIFICALLY ADDRESSING IPEX SYNDROME.

18 THE NEXT APPLICATION IS 9649. THIS IS A
19 TREATMENT FOR ZIKA VIRUS INFECTION AND
20 NEUROPROTECTION EFFICACY. AND SO THIS IS TO TREAT
21 ZIKA INFECTION, AND IT'S A SMALL MOLECULE
22 THERAPEUTIC APPROACH. THE GOAL IS TO USE STEM CELLS
23 HERE AS A TOOL BOTH TO STUDY AND TO VALIDATE A SMALL
24 MOLECULE THAT THEY HAVE AS A POTENTIAL CANDIDATE TO
25 DEVELOP AND TAKE TO THE CLINIC.

1 THE APPLICATION RECEIVED A SCORE OF 93.
2 THE MEAN WAS ALSO 93, AND 15 OUT OF THE 15 GWG
3 SCIENTIFIC MEMBERS SCORED IT IN THE FUND CATEGORY.

4 SOME OF THE STRENGTHS AND WEAKNESSES:
5 REVIEWERS THOUGHT THAT CERTAINLY THERE IS AN UNMET
6 NEED AND A VERY IMPORTANT TOPIC THAT DOES NEED
7 THERAPEUTIC INTERVENTION. THEY FELT THAT THE
8 INVESTIGATOR IS VERY STRONG AS WELL AS THE
9 PRELIMINARY DATA THAT WAS PRESENTED TO GO ALONG WITH
10 THIS, AND THE EXPERIMENTAL PLAN THAT WAS LAID OUT
11 WAS VERY WELL THOUGHT THROUGH.

12 THERE WERE SOME MINOR CONCERNS, THAT THE
13 TESTING, FOR EXAMPLE, OF THIS PARTICULAR CANDIDATE
14 HASN'T BEEN DONE IN THE PREGNANCY MODEL OF ZIKA THAT
15 THE APPLICANT ESTABLISHED, AND THAT THEY MIGHT
16 CONSIDER DOING THAT. AND THEN JUST A LACK OF
17 CLARITY AS TO HOW THE SMALL MOLECULE DRUG ULTIMATELY
18 WOULD BE DELIVERED INTO THE MOTHER OR FETUS. SO A
19 LACK OF CLARITY THERE.

20 IN TERMS OF RELATED PROJECTS THAT WE MIGHT
21 BE FUNDING, THAT WE ARE CURRENTLY NOT FUNDING
22 ANYTHING THAT ADDRESSES THE ZIKA VIRUS INFECTION.

23 NEXT PROJECT IS 9565. THIS IS
24 "PRECLINICAL DEVELOPMENT OF HUMAN HEPATOCYTE
25 PROGENITOR CELLS FOR CELL THERAPY FOR LIVER

1 DISEASE." AND CLEARLY THIS IS A CELL THERAPY. SO
2 THEIR GOAL HERE IS TO DEVELOP A CANDIDATE HEPATOCYTE
3 PROGENITOR THAT HAS ALL THE IDEAL FUNCTION AND
4 CHARACTERISTICS THAT CAN BE USED TO TREAT LIVER
5 DISEASE AND REPLACE HEPATOCYTES.

6 THIS APPLICATION RECEIVED A FINAL SCORE OF
7 90. THE MEAN WAS 91. AND, AGAIN, 15 OUT OF THE 15
8 SCIENTIFIC MEMBERS VOTED IN THE FUND CATEGORY.

9 STRENGTHS, THE APPLICATION ADDRESSES A
10 CLEAR UNMET CLINICAL NEED. IT IS BASED ON A RECENT
11 DISCOVERY OF HEPATOCYTE PROGENITOR CELLS IN THE
12 MOUSE. AND SO THE GOAL IS TO TAKE THOSE STUDIES
13 THAT SEEM VERY PROMISING IN THE MOUSE MODEL AND
14 TRANSLATE IT TO HUMANS. AND REVIEWERS FELT THAT THE
15 DESIGN OVERALL WAS VERY SOLID AND WOULD BE LIKELY TO
16 PRODUCE MEANINGFUL RESULTS AND OVERALL HAD VERY GOOD
17 PRELIMINARY DATA.

18 ONE CONCERN WAS THAT THE PRELIMINARY DATA
19 ALSO INDICATES THAT THE TRANSPLANTATION OF THESE
20 PROGENITOR CELLS MAY NOT PERSIST. SO IT IS ONE
21 ISSUE THAT THE GROUP WILL NEED TO ADDRESS IF THEY
22 WANT TO UTILIZE IT IN THE CLINIC ULTIMATELY.

23 AND THEY ALSO HAD THE RECOMMENDATIONS,
24 SUCH AS TESTING THE RESTORATION OF LIVER FUNCTION IN
25 AN IN-VIVO SETTING.

1 IN TERMS OF PROJECTS THAT ARE SIMILAR, WE
2 HAVE SEVEN DISCOVERY STAGE PROJECTS THAT TOTAL \$12.7
3 MILLION INVESTMENT CURRENTLY FROM CIRM TO DEVELOP,
4 TO STUDY LIVER DISEASE, NOT EXACTLY IDENTICAL TO
5 THIS, BUT THAT ARE WITHIN THAT OVERALL PORTFOLIO
6 AREA.

7 NEXT APPLICATION IS 9615. THIS IS
8 ENTITLED "TARGETED OFF-THE-SHELF IMMUNOTHERAPY TO
9 TREAT REFRACTORY CANCERS." SO THE INDICATION IS FOR
10 BOTH SOLID AND HEMATOLOGIC CANCERS. IT IS A
11 GENE-MODIFIED CELL IMMUNOTHERAPY APPROACH, AND THEIR
12 GOAL HERE IS TO DEVELOP PROOF OF CONCEPT DATA IN
13 CANDIDATES FOR BOTH SOLID TUMORS USING NATURAL
14 KILLER CELLS THAT ARE MODIFIED, AND THEN IN PARALLEL
15 A NONMODIFIED NATURAL KILLER CELL APPROACH FOR
16 TREATING LEUKEMIA, SUCH AS AML.

17 THE APPLICATION RECEIVED A SCORE OF 90.
18 THE MEAN WAS 91. AND, HERE AGAIN, 15 OUT OF THE 15
19 GWG MEMBERS PLACED IT IN THE FUND CATEGORY.

20 IN TERMS OF STRENGTHS AND WEAKNESSES THAT
21 WERE HIGHLIGHTED, REVIEWERS APPRECIATED AND LIKED
22 THE IDEA THAT THIS COULD LEAD TO A UNIVERSAL OR
23 OFF-THE-SHELF CELL THERAPY TO TREAT MANY PATIENTS,
24 AND THE IMPACT COULD BE BROAD. THE TARGETS THAT ARE
25 BEING STUDIED ARE RELEVANT. IN THIS CASE, THEY ARE

1 STUDYING OVARIAN CANCER AND LEUKEMIA. THEY HAVE A
2 VERY PURE NATURAL KILLER CELL POPULATION THAT THEY
3 CAN ACHIEVE, AND THEY HAVE GOOD PRELIMINARY DATA TO
4 DEMONSTRATE FEASIBILITY.

5 SOME CONCERNS WERE THAT THE STUDIES
6 EXPLORE MANY COMBINATIONS OF THE SINGLE TRANSDUCTION
7 ELEMENTS THAT MIGHT END UP BEING A BIT AMBITIOUS FOR
8 THE TWO-YEAR TIMELINE.

9 AND THEN IN TERMS OF SIMILAR PROJECTS THAT
10 ARE IN THE CANCER PORTFOLIO, CLEARLY WE HAVE
11 SEVERAL, THERAPEUTIC AND OTHER APPROACHES FOR
12 CANCER. THERE ARE FIVE IN THE CLINICAL PROGRAM THAT
13 TOTAL 51.1 MILLION, TWO IN THE TRANSLATIONAL PROGRAM
14 OF 9.9 MILLION, AND SEVEN IN THE DISCOVERY REALM FOR
15 13.1 MILLION.

16 THE NEXT APPLICATION IS 9569 TITLED
17 "HNSC-MEDIATED DELIVERY OF APICCT1 AS A CANDIDATE
18 THERAPEUTIC FOR HUNTINGTON'S DISEASE." SO THIS IS A
19 THERAPY OF GENE MODIFIED CELLS AS WELL AS A BIOLOGIC
20 FOR TREATING HUNTINGTON'S DISEASE. IT IS KIND OF A
21 DUAL APPROACH THAT UTILIZES THE NEURAL STEM CELLS AS
22 ONE ASPECT OF TREATING THE DISEASE AS WELL AS THE
23 CELLS BEING ABLE TO SECRETE THE APICCT1 PROTEIN FOR
24 ADDITIONAL BENEFIT.

25 THE GOAL OF THESE STUDIES IS TO DO A PROOF

1 OF CONCEPT TO ESTABLISH A CANDIDATE FOR TRANSLATION
2 OF THIS DUAL APPROACH.

3 THE FINAL SCORE FOR THIS APPLICATION WAS A
4 90. THE MEAN WAS ALSO A 90. HERE WE HAVE 13
5 MEMBERS SCORING THE APPLICATION IN THE TOP TIER AND
6 ONE MEMBER SCORING IT IN THE DO NOT FUND CATEGORY.

7 CLEARLY, THIS APPLICATION ADDRESSES AN
8 UNMET NEED, AND THIS IS RECOGNIZED BY REVIEWERS.
9 THEY FELT THAT THE PRELIMINARY DATA IS STRONG AND
10 WARRANTS FURTHER PRECLINICAL WORK, AND FOUND IT TO
11 BE A CLEVER STRATEGY FOR ENHANCING THE EFFICACY BY
12 DOING THE COMBINATION OF THESE NSC WITH THE API
13 DELIVERY.

14 THERE WERE SEVERAL CONCERNS RAISED. SOME,
15 THAT OUT OF SEVEN TRIALS WITH STEM CELLS, ONLY ONE
16 HAS SHOWN SOME MARGINAL GAIN, WHICH WAS SHORTLIVED.
17 AND THE PERSISTENCE OF THE CELLS, ONCE INTRODUCED,
18 MAY BE A HURDLE FOR DEVELOPMENT, AS WELL AS CELL
19 SURVIVAL ONCE THEY ARE TRANSPLANTED. ALSO, IT IS
20 UNCLEAR HOW THE DELIVERY OF THE APICCT1 MAY BE
21 SUSTAINED ULTIMATELY, AND SOME CONCERNS OF
22 OFF-TARGET EFFECTS OF THE APICCT1. AND THERE ARE
23 OTHER MINOR CONCERNS AS WELL.

24 IN TERMS OF PROJECTS THAT ARE WITHIN THE
25 SAME PORTFOLIO AREA, THERE IS ONE TRAN STAGE PROJECT

1 AT 5 MILLION.

2 THE NEXT APPLICATION IS 9624. THIS IS
3 ENTITLED "PROTEIN TYROSINE PHOSPHATASE-SIGMA
4 INHIBITORS FOR HEMATOPOIETIC REGENERATION." THE
5 INDICATION HERE IS FOR SITUATIONS SUCH AS
6 MYELO-ABLATION OR OTHER CONDITIONS WHERE PATIENTS
7 NEED IMMUNE AND/OR BLOOD REGENERATION. IT IS A
8 SMALL MOLECULE APPROACH. AND THE GOAL HERE IS TO
9 SCREEN AND STUDY SEVERAL PEAK SIGMA INHIBITORS TO
10 IDENTIFY AND CHARACTERIZE AN IDEAL CANDIDATE THAT
11 THEY CAN TAKE FOR TRANSLATION.

12 THIS APPLICATION RECEIVED A SCORE OF 90.
13 THE MEAN WAS ALSO 90. AND WE HAD 14 OUT OF 14
14 MEMBERS SCORE THIS APPLICATION WITHIN THE FUND
15 CATEGORY.

16 SOME OF THE STRENGTHS AND CONCERNS: THERE
17 IS A NEED FOR A DRUG THAT CAN STIMULATE
18 HEMATOPOIETIC STEM CELLS, SO IT WOULD BE A PROPOSAL
19 THAT, IF SUCCESSFUL, WOULD HAVE GREAT IMPACT. THEY
20 FELT THAT MOLECULE OPTIMIZATION, CHEMICAL
21 MODIFICATIONS PROPOSED ARE VERY STRONG, AND THAT USE
22 OF HUMAN STEM CELLS IS A STRENGTH FOR THIS
23 PARTICULAR PROPOSAL.

24 SOME MINOR CONCERNS IN TERMS OF
25 INTERPRETING THE DATA ON ONE OF THE FIGURES AND BOTH

1 COMMENTS RELATE TO THAT.

2 IN TERMS OF RELATED PROJECTS, THERE ARE
3 TWO CLINICAL PROJECTS THAT TOTAL 19.1 MILLION AND
4 ONE DISCOVERY STAGE PROJECT FOR 5.2 MILLION IN THIS
5 AREA.

6 NEXT APPLICATION IS 9596 ENTITLED "DIRECT
7 CARDIAC REPROGRAMMING FOR REGENERATIVE MEDICINE."
8 THIS IS FOR HEART FAILURE AND IS A GENE THERAPY
9 APPROACH. THE GOAL HERE IS TO CONDUCT PROOF OF
10 CONCEPT STUDIES FOR TESTING AN APPROACH WHICH
11 BASICALLY REPROGRAMS CELLS WITHIN THE HEART IN ORDER
12 TO REPOPULATE CARDIAC MYOCYTES IN THE HEART TO
13 REPAIR THE TISSUE. AND WHAT THEY ARE TESTING IS THE
14 ABILITY TO DELIVER GENES AND ALSO THE USE OF A SMALL
15 MOLECULE TO ENHANCE THE REPROGRAMMING OF THE CELLS
16 IN THE HEART. AND ALL OF THIS TO ESTABLISH A
17 CANDIDATE THAT THEY CAN THEN TRANSLATE ONTO THE NEXT
18 STAGE.

19 THIS APPLICATION RECEIVED A SCORE OF 88.
20 THE MEAN WAS ALSO 88. AND THE GWG, 14 OUT OF 14
21 MEMBERS SCORED IT IN THE FUND CATEGORY.

22 REVIEWERS FELT THAT THIS APPLICATION HAD A
23 STRONG RATIONALE, AS WELL AS STRONG PRELIMINARY
24 DATA, AND A GOOD OVERALL DESIGN.

25 SOME OF THE CONCERNS RELATE TO OFF-TARGET

1 EFFECTS THAT THE VECTORS MAY HAVE, AND THEIR EFFECT
2 ON THE EXISTING CARDIOMYOCYTES WERE NOT DISCUSSED
3 SUFFICIENTLY.

4 THERE ARE OTHER RELATED AWARDS; THAT IS,
5 THOSE THAT ARE IN THE FIELD OF HEART FAILURE. THERE
6 ARE THREE CLIN STAGE PROJECTS THAT TOTAL 42.2
7 MILLION AND 11 DISCOVERY STAGE PROJECTS AT 19.4
8 MILLION.

9 THE NEXT APPLICATION IS 9635 ENTITLED
10 "DESIGNING A CELLULAR NICHE FOR TRANSPLANTATION OF
11 HUMAN EMBRYONIC STEM CELL-DERIVED BETA CELLS." THIS
12 IS FOR TYPE 1 DIABETES, AND IT IS CELL THERAPY
13 APPROACH. THE GOAL HERE TO CONDUCT PROOF OF CONCEPT
14 STUDIES TO DEVELOP WHAT WOULD BE AN ISLET CELL GROUP
15 OF CELLS SO THEY CREATE A COMPOSITE, NOT ONLY BETA
16 CELLS, BUT OTHER CELL TYPES THAT CAN THEN BE
17 TRANSPLANTED OR POTENTIALLY PLACED IN AN
18 ENCAPSULATION DEVICE TO TREAT DIABETES.

19 THE FINAL SCORE FOR THIS APPLICATION IS AN
20 88, MEAN WAS ALSO AN 88. THERE WERE 15 OUT OF 15
21 GWG REVIEWERS THAT SCORED IT IN THE FUND CATEGORY.

22 REVIEWERS FELT THAT THE PROPOSAL WAS
23 BROUGHT BY A PROMISING RISING STAR INVESTIGATOR THAT
24 HAS OUTSTANDING PRELIMINARY OUTCOMES. AND THEY WERE
25 OVERALL IMPRESSED BY THE DATA THAT THEY HAVE SO FAR.

1 IN TERMS OF CONCERNS, THERE WERE SEVERAL,
2 BUT I THINK OVERALL PERHAPS MINOR. THEY FELT THAT
3 THE PROPOSAL WAS OVER AMBITIOUS, SO THIS COULD
4 CERTAINLY TAKE IT BEYOND THE TWO YEARS. SO THAT WAS
5 ONE OF THE MAJOR CONCERNS. LACK OF DISCUSSION ABOUT
6 CERTAIN KEY ELEMENTS, SUCH AS THE NEED FOR
7 IMMUNOISOLATION DEVICES OR IMMUNOSUPPRESSION, AND
8 WHETHER THE SORT OF ISLET NICHE CELLS FROM CADAVERIC
9 PANCREATIC ISLETS WOULD BE NECESSARY OR ULTIMATELY
10 UTILIZED IN THE FINAL PRODUCT OR NOT COULD POSE A
11 PROBLEM IN TERMS OF THE PURPOSES OF USING STEM CELLS
12 FROM A SOURCE SUCH AS HESC'S.

13 THERE ARE A FEW PROJECTS IN THE AREA OF
14 DIABETES. THERE ARE CURRENTLY THREE CLINICAL STAGE
15 PROJECTS THAT WE FUND FOR A TOTAL OF \$30.2 MILLION
16 AND ONE AT THE TRANSLATION STAGE FOR 5 MILLION.

17 THE NEXT APPLICATION ALSO IN THE AREA OF
18 TYPE 1 DIABETES. THIS IS 9559 ENTITLED "THIN FILM
19 ENCAPSULATION DEVICES FOR HUMAN STEM CELL-DERIVED
20 INSULIN-PRODUCING CELLS." IT IS INTENDED FOR CELL
21 THERAPY, BUT IT'S THE DEVELOPMENT OF AN
22 ENCAPSULATION DEVICE. SO THEIR GOAL IS TO TEST
23 FEATURES AND ENHANCEMENT OF THE ENCAPSULATION DEVICE
24 TO SHOW FUNCTION AND PROOF OF CONCEPT FOR SUBSEQUENT
25 TRANSLATION OF THIS PRODUCT.

1 THE FINAL SCORE FOR THIS APPLICATION IS IN
2 AN 87. THE MEAN IS ALSO AN 87. HERE THERE WERE 13
3 GWG MEMBERS THAT SCORED IT WITHIN THE FUND CATEGORY
4 AND TWO THAT SCORED IT IN THE DO NOT FUND CATEGORY.

5 THERE WERE SEVERAL STRENGTHS HIGHLIGHTED,
6 SUCH AS THE RATIONALE WAS FELT TO BE STRONG. THEY
7 APPRECIATED THE PARTICIPATION OF THREE CO-PI'S WITH
8 EXPERTISE THAT ALL CONTRIBUTE TO DEVELOPING THIS
9 PROJECT. THE DESIGN OF THE DEVICE AND APPROACH WAS
10 NOVEL, AND THEY FELT THAT THE USE OF THREE MODELS
11 ADDED SCIENTIFIC RIGOR TO OVERALL DESIGN.

12 THERE WERE SOME CONCERNS, SOME MINOR
13 WEAKNESSES ON THE APPROACH FOR OPTIMIZING THE
14 DEVICE, FUNCTIONAL DATA SHOWING THAT
15 INSULIN-SECRETING ISLETS IN THE DEVICES CAN REVERSE
16 DIABETES. SO ADEQUATE PRELIMINARY DATA SUPPORTING
17 THE IDEA THAT ULTIMATELY THESE DEVICES CAN SUPPORT
18 CELLS AND WOULD ALLOW THEM TO SENSE GLUCOSE AND
19 FUNCTION AS ONE WOULD LIKE.

20 THERE ARE, AGAIN, THE SAME PROJECTS IN THE
21 AREA OF DIABETES, THREE IN THE CLINICAL AREA AND ONE
22 IN THE TRANSLATION PROJECT. SAME AS THE PREVIOUS.

23 THE NEXT APPLICATION IS 9610. THIS ONE IS
24 ENTITLED "CRISPR/DCAS9 MUTANT TARGETING SNCA
25 PROMOTER FOR DOWNREGULATION OF ALPHA-SYNUCLEIN

1 EXPRESSION AS A NOVEL THERAPEUTIC APPROACH FOR
2 PARKINSON'S DISEASE." SO OBVIOUSLY THIS IS TARGETED
3 TO PARKINSON'S DISEASE, AND IT IS A GENE THERAPY.
4 HERE, THE GOAL IS TO USE HUMAN STEM CELL-DERIVED
5 NEUROPROGENITOR CELLS TO TEST THE GENE THERAPY
6 APPROACH AND ESTABLISH A PROOF OF CONCEPT FOR
7 TRANSLATION.

8 THE APPLICATION RECEIVED A FINAL SCORE OF
9 85. THE MEAN WAS 87. THERE WERE 11 GWG REVIEWERS
10 THAT SCORED IT IN THE FUND CATEGORY AND FOUR THAT
11 SCORED IT IN THE DO NOT FUND.

12 REVIEWERS FELT THAT THERE WAS SUBSTANTIAL
13 PRELIMINARY DATA THAT INDICATES THE STRATEGY COULD
14 BE EFFECTIVE IN KNOCKING DOWN THE SNCA LEVELS. IT
15 IS A DEVICE PROPOSAL, MEANING THIS IS AN APPLICATION
16 THAT IS COMING TO THIS PANEL FOR THE SECOND TIME,
17 AND THEY FELT THAT THE APPLICANT AMPLY ADDRESSED
18 MANY OF THE CONCERNS FROM THE PREVIOUS REVIEW AND
19 THAT THEY HAVE OUTLINED A CLEAR PLAN FOR MOVING
20 FORWARD.

21 THERE WERE CONCERNS THAT ULTIMATELY THE
22 HYPOTHESIS THAT THE ALPHA-SYNUCLEIN REGULATION IN
23 THE SPORADIC PARKINSON'S DISEASE MAY NOT BE
24 RELEVANT. SO IF THE HYPOTHESIS IS WRONG, THEN THE
25 VALUE OF THE PRODUCT MAY BE LIMITED. THERE WAS

1 CONCERN ABOUT OFF-TARGET EFFECTS, SUCH AS WHETHER
2 THE GENE THERAPY VIRUS WOULD BE TAKEN UP BY OTHER
3 CELLS AND WHAT THE CONSEQUENCES WOULD BE. ALSO,
4 THAT ONLY A SMALL AMOUNT OF THE ALPHA-SYNUCLEIN IS
5 SUFFICIENT TO CREATE AN ABNORMAL PROCESSING, AND SO
6 UNCLEAR HOW MUCH KNOCKDOWN WILL BE NECESSARY TO
7 CHANGE THE COURSE OF THE DISEASE, BUT OBVIOUSLY
8 SOMETHING THAT THE APPLICANT CAN AND WOULD NEED TO
9 ADDRESS.

10 IN TERMS OF OTHER PROJECTS IN THIS AREA,
11 WE HAVE AND ARE SUPPORTING THREE DISCOVERY STAGE
12 PROJECTS IN THE AREA OF PARKINSON'S FOR 4.8 MILLION.

13 THE NEXT APPLICATION IS 9631 ENTITLED
14 "IDENTIFICATION AND CHARACTERIZATION OF THE OPTIMAL
15 HUMAN NEURAL STEM CELL LINE FOR THE TREATMENT OF
16 TRAUMATIC BRAIN INJURY." SO THIS IS A CELL THERAPY
17 APPROACH, AND THE GOAL OF THIS PROPOSAL IS TO TEST
18 SEVERAL CELL LINES TO IDENTIFY AN IDEAL CANDIDATE
19 THAT THEY CAN TAKE FORWARD TO TRANSLATION.

20 THIS RECEIVED A FINAL SCORE OF 85 WITH A
21 MEAN OF 87. NINE OF THE GWG REVIEWERS SCORED IT
22 WITHIN THE FUND CATEGORY, FIVE SCORED IT IN THE DO
23 NOT FUND CATEGORY.

24 THE REVIEWERS NOTED THAT TRAUMATIC BRAIN
25 INJURY OR TBI IS A MAJOR UNMET NEED. SOME OF THE

1 STRENGTHS NOTED WAS THAT THIS APPROACH OF SMALL
2 REDUCTION IN LESION VOLUME COULD HAVE A SIGNIFICANT
3 LONG-TERM BENEFIT FOR PATIENTS, AND THEY FELT THAT
4 THE WORK AS PROPOSED WAS VERY CAREFUL WORK ALTHOUGH
5 MIGHT BE INCREMENTAL. BUT THEY FELT THAT THE
6 PROPOSAL HAS THE RIGHT FOCUS AND ATTENTION TO DETAIL
7 THAT WOULD BE NECESSARY TO MAKE IT SUCCESSFUL. SO
8 ALSO VERY LOGICAL, AND THE PI HAS A GREAT RECORD OF
9 PERFORMANCE ON CIRM GRANTS.

10 SOME OF THE CONCERNS RELATE TO WHETHER THE
11 APPROACH MIGHT MAKE SENSE, THAT IS, LOCAL INJECTION
12 FOR WHAT IS A MULTIFOCAL DISEASE, MAY BE A PROBLEM
13 AS IT MAY NOT BE APPLICABLE TO THIS PATIENT
14 POPULATION IF ULTIMATELY MANY INJECTIONS WOULD BE
15 REQUIRED. THE NEED FOR IMMUNOSUPPRESSION WAS A
16 CONCERN FOR SOME REVIEWERS AND COULD POSE A BARRIER
17 TO TRANSLATION. SEVERAL FELT THE PRELIMINARY DATA
18 TO SOME DID NOT SEEM TO BE SUFFICIENTLY ROBUST OR
19 COMPELLING.

20 AND IN TERMS OF PROJECTS IN THIS AREA, WE
21 ARE CURRENTLY NOT FUNDING ANYTHING IN THE TRAUMATIC
22 BRAIN INJURY ARENA.

23 THREE MORE. 9542 IS THE NEXT ONE. THIS
24 ONE IS ENTITLED "MULTIPOTENT CARDIOVASCULAR
25 PROGENITOR REGENERATION OF THE MYOCARDIUM AFTER MI."

1 SO THIS IS ANOTHER APPLICATION IN THE AREA OF HEART
2 FAILURE. IT IS A CELL THERAPY APPROACH. AND THE
3 GOAL IS TO CONDUCT PROOF OF CONCEPT STUDIES IN
4 ANIMAL MODELS IN ORDER TO ESTABLISH AN IDEAL
5 CANDIDATE THAT THEY CAN TAKE FOR TRANSLATION.

6 THIS APPLICATION RECEIVED A SCORE OF 85.
7 THE MEAN WAS ALSO 85. HERE, THERE WERE NINE GWG
8 REVIEWERS THAT SCORED IT WITHIN THE FUND CATEGORY
9 AND FIVE THAT SCORED IT IN THE DO NOT FUND CATEGORY.

10 SOME OF THE REVIEWER COMMENTS WERE THAT
11 SOME FOUND THAT THE SCIENTIFIC BACKGROUND WAS SOLID,
12 THE IDENTIFICATION OF WHAT APPEARS TO BE A TRUE
13 CARDIAC PROGENITOR IS BOTH NOVEL AND A STRENGTH AND
14 PERHAPS ADVANTAGE TO THIS PROJECT. THEY LIKED IN
15 GENERAL THE PRELIMINARY DATA, AND THE WAY OF
16 DELIVERING AND TARGETING THE CELLS WAS THOUGHT TO BE
17 INNOVATIVE.

18 SOME CONCERNS, IT WAS NOT CLEAR TO SOME IF
19 THE PROPOSED CELL TYPE HAS A HIGH OR HIGHER
20 LIKELIHOOD OF BEING SUCCESSFUL OVER OTHERS. THAT
21 IS, THEY WERE PERHAPS LOOKING FOR ADDITIONAL
22 JUSTIFICATION FOR WHY THESE CELLS WOULD INTEGRATE IN
23 THE HEART AND PERSIST ADEQUATELY TO FUNCTION AS THEY
24 WOULD HOPE.

25 WITHIN THE AREA OF HEART FAILURE, WE HAVE

1 THREE CLINICAL PROJECTS THAT TOTAL 42.2 MILLION AND
2 11 DISCOVERY STAGE PROJECTS AT 19.4 MILLION.

3 THE NEXT PROPOSAL IS 9637 ENTITLED "GENOME
4 EDITING TO CORRECT CYSTIC FIBROSIS MUTATIONS IN
5 AIRWAY STEM CELLS." THIS IS A GENE-MODIFIED CELL
6 THERAPY APPROACH TO TREAT CYSTIC FIBROSIS, AND THE
7 GOAL IS TO DEVELOP AND TEST TWO GENE CORRECTION
8 APPROACHES TO TREAT THE CYSTIC FIBROSIS MUTATION;
9 THAT IS, THE CFTR GENE.

10 THIS APPLICATION RECEIVED A SCORE OF 85.
11 THE MEAN WAS 85. THERE WERE TEN GWG REVIEWERS THAT
12 SCORED IT WITHIN THE FUND CATEGORY AND FIVE THAT
13 SCORED IT IN THE DO NOT FUND CATEGORY.

14 REVIEWERS, SOME OF THE COMMENTS ARE THAT
15 THEY FOUND THE SCIENTIFIC RATIONALE TO BE SOUND.
16 SIGNIFICANCE AND NOVELTY AND THE EXPERTISE OF THE
17 TEAM WERE STRENGTHS OF THE PROPOSAL. CLEARLY AN
18 UNMET NEED. IT IS AN AMBITIOUS PROPOSAL PERHAPS,
19 BUT HAS A HIGH POTENTIAL FOR DELIVERING VALUE.

20 SOME OF THE CONCERNS ARE THAT CORRECTION
21 OF THE CFTR GENE IS A CHALLENGE, PARTICULARLY IN THE
22 TYPES OF CELLS AND SETTING THAT THEY ARE ATTEMPTING
23 HERE, AND IT HASN'T YET BEEN ACHIEVED. SO IT IS
24 SOMETHING THAT MAY PROVE TO BE DIFFICULT. A METHOD
25 FOR PURIFYING OR ENRICHING THE SUCCESSFULLY

1 GENE-CORRECTED CELLS AT LEAST WAS FOUND TO BE A
2 LIMITATION BY SOME REVIEWERS. INSUFFICIENT FOCUS ON
3 THE CELL TYPES THAT ARE SUCCESSFULLY GENE CORRECTED
4 ADDRESSES THE SAME CONCERN. AND, AGAIN, ENGRAFTMENT
5 OF THE CELLS INTO THE AIRWAY EPITHELIUM WAS THOUGHT
6 TO BE NOT A TRIVIAL UNDERTAKING. AND SO THIS COULD
7 EASILY TAKE THEM BEYOND THE TWO-YEAR TIMELINE TO
8 ACHIEVE. THERE WAS JUST SOME LACK OF CLARITY ON WHY
9 THE APPLICANT IS PURSUING TWO APPROACHES, ONE THAT
10 IS FOCUSED ON A VERY SPECIFIC MUTATION AND ONE THAT
11 IS KIND OF BROADER ACROSS DIFFERENT ONES. THEY FELT
12 IF THEY'RE ESTABLISHING A UNIVERSAL STRATEGY, WHY
13 NOT USE JUST THE ONE FOR ALL CASES. SO THAT'S JUST
14 A LACK OF DISCUSSING THE RATIONALE BEHIND THAT. AND
15 THEN ALSO A SUGGESTION THAT THE IMPLANT SITE THAT
16 WAS PROPOSED, THAT IS, IN THE NASAL PASSAGE, MAY NOT
17 BE AS INFORMATIVE AS PLACEMENT INTO THE LUNG.

18 IN TERMS OF RELATED PROJECTS, WE'RE NOT
19 CURRENTLY FUNDING ANYTHING IN THE AREA OF CYSTIC
20 FIBROSIS.

21 THE LAST APPLICATION IN THE FUND CATEGORY,
22 9460, ENTITLED "MICROENVIRONMENT FOR HUMAN INDUCED
23 PLURIPOTENT STEM CELL-DERIVED PACEMAKING
24 CARDIOMYOCYTES." IT IS A CELL THERAPY APPROACH TO
25 TREAT CARDIAC ARRHYTHMIA. AND THE GOAL OF THIS

1 PROJECT IS TO IDENTIFY AN IDEAL PACEMAKER
2 CARDIOMYOCYTE THAT COULD BE USED TO BE TRANSLATED TO
3 POTENTIALLY DEVELOP A BIOLOGIC PACEMAKER.

4 THE FINAL SCORE FOR THIS ONE IS AN 85.
5 THE MEAN IS AN 80. THERE WERE NINE GWG REVIEWERS
6 THAT SCORED IT IN THE FUND CATEGORY AND SIX THAT
7 SCORED IT IN THE DO NOT FUND CATEGORY.

8 SOME OF THE STRENGTHS AND CONCERNS THAT
9 WERE HIGHLIGHTED WAS THAT THIS IS A WELL-FOCUSED,
10 WELL-WRITTEN PROPOSAL. IT HAS A NOVELTY IN SEVERAL
11 ASPECTS IN TERMS OF PARTICULARLY USING EXTRACELLULAR
12 MATRIX TO DRIVE THE DIFFERENTIATION AND MAINTENANCE
13 OF THE CELLS THAT THEY ARE GENERATING TO HAVE A
14 STRONG PRELIMINARY DATA FOR THIS.

15 SOME OF THE CONCERNS RELATED TO WHETHER
16 THERE IS A NEED FOR A BIOLOGICAL ALTERNATIVE TO
17 DEVICE PACEMAKERS. THERE WAS DISCUSSION THAT THERE
18 ARE MANY NEW ADVANCES IN WIRELESS PACEMAKERS AND
19 BIOSENSORS THAT ARE IMPROVING THE FIELD, AND THE
20 PACEMAKER DESIGN MAY OVERCOME SOME OF THE CURRENT
21 ISSUES, AND THE OVERALL FEASIBILITY OF THIS APPROACH
22 FOR SOME SEEMED LOW. AND SCIENTIFICALLY THEY FOUND
23 IT TO BE QUITE INTERESTING, BUT MIGHT REQUIRE MORE
24 THOUGHT IN TERMS OF HOW TO ULTIMATELY GET IT TO
25 PATIENTS.

1 IN TERMS OF OTHER PROJECTS THAT ARE IN
2 THIS PORTFOLIO AREA, TWO DISCOVERY STAGE PROJECTS
3 FOR A TOTAL OF 7.7 MILLION IN THIS AREA.

4 SO THAT CONCLUDES A SUMMARY OF THE
5 PROJECTS THAT ARE IN THE FUND CATEGORY.

6 CHAIRMAN THOMAS: THANK YOU, DR. SAMBRANO.
7 BEFORE TURNING THE PROGRAMMATIC PORTION OF OUR
8 REVIEW OVER TO DR. PRIETO, I WANTED TO MAKE A
9 COMMENT ABOUT THE PUBLIC COMMENT COMPONENT OF
10 TODAY'S MEETING. IN THE PAST WE'VE HAD INSTANCES
11 WHERE THERE HAVE BEEN PROPOSALS THAT HAVE ACTUALLY,
12 FOR ONE REASON OR ANOTHER, NEVER COME UP FOR
13 CONSIDERATION WITH THE BOARD AT A GIVEN MEETING AND
14 HAVE HAD PUBLIC COMMENTERS WHO HAVE NOT BEEN ABLE TO
15 PRESENT THEIR THOUGHTS IN ADVANCE OF ANY VOTES ON
16 THE LIST OF PROJECTS UNDER CONSIDERATION.

17 WE'VE DETERMINED THAT THAT IS NOT A GOOD
18 THING. AND SO WE ARE FOR THIS MEETING CHANGING THE
19 PUBLIC COMMENT TIMING TO ADDRESS THAT ISSUE. SO FOR
20 THIS MEETING WE'RE GOING TO HAVE ALL PUBLIC COMMENT
21 PRECEDE ANY DISCUSSION ON ANY OF THE INDIVIDUAL
22 PROPOSALS. SO I WOULD ENCOURAGE ALL THOSE EITHER IN
23 ATTENDANCE HERE OR AT OTHER SITES ON THE PHONE WHO
24 DO WISH TO GIVE PUBLIC COMMENT TO BE PREPARED TO
25 GIVE THAT COMMENT RIGHT AFTER I STOP TALKING HERE.

1 WHEN WE GET TO INDIVIDUAL PROJECTS,
2 BECAUSE WE WILL HAVE HAD PUBLIC COMMENT AT THE
3 OUTSET, THERE WILL NOT BE PUBLIC COMMENT FOR EACH OF
4 THE INDIVIDUAL PROJECTS BEING CONSIDERED. OF
5 COURSE, IF THERE ARE MEMBERS OF THE BOARD THAT DO
6 HAVE QUESTIONS WITH RESPECT TO INDIVIDUAL PROJECTS
7 WHO WISH TO ASK THOSE QUESTIONS AND THERE HAPPENS TO
8 BE A MEMBER OF THE PUBLIC HERE WHO CAN ADDRESS ANY
9 SUCH QUESTIONS, THAT WILL, OF COURSE, BE THE ORDER
10 OF THE DAY. BUT SHORT OF ANY QUESTIONS THAT ANY
11 INDIVIDUAL BOARD MEMBERS HAVE, WE WILL NOT BE HAVING
12 PUBLIC COMMENT DURING THE CONSIDERATION OF ANY OF
13 THE INDIVIDUAL PROJECTS.

14 MS. BONNEVILLE: QUESTION. ARE THERE ANY
15 MEMBERS OF THE PUBLIC AT THE OTHER LOCATIONS? WE
16 HAVE SEVERAL HERE, AND I JUST WANTED TO CHECK IN.
17 NO OTHER MEMBERS OF THE PUBLIC?

18 CHAIRMAN THOMAS: THANK YOU. SENATOR
19 TORRES.

20 MR. TORRES: I JUST WANTED TO MAKE SURE
21 THAT WHOEVER IS LISTENING THAT SEVEN OF US, AS
22 PATIENT ADVOCATES, THIS IS THE SECOND TIME WE'RE
23 REVIEWING THESE APPLICATIONS. SO THAT THE PEOPLE
24 REALIZE THIS IS NOT JUST A PRO FORMA REVIEW BY THE
25 BOARD AB ANITIO. RATHER, SOME OF US WHO ARE BOARD

1 MEMBERS AND PATIENT ADVOCATES HAVE BEEN PART OF THIS
2 PROCESS BEFORE THIS BOARD MEETING.

3 CHAIRMAN THOMAS: THANK YOU. VERY
4 IMPORTANT POINT, SENATOR TORRES.

5 OKAY. WITH THAT AS THE GROUNDRULE, NOW
6 GOING TO --

7 MS. BONNEVILLE: ARE WE GOING TO DO IT IN
8 ORDER?

9 CHAIRMAN THOMAS: YES. HERE AT CIRM
10 HEADQUARTERS WE HAVE A SIGN-IN SHEET FOR PUBLIC
11 COMMENT. LOOKS LIKE WE HAVE SEVEN FOLKS GOING TO
12 SPEAK. WE'RE GOING TO PROCEED IN ORDER, AND I WOULD
13 ADVISE EVERYBODY YOU HAVE A CAP OF THREE MINUTES FOR
14 YOUR PUBLIC COMMENT. SO PLEASE GIVE YOUR NAME AND
15 YOUR INSTITUTION THAT YOU ARE AFFILIATED WITH.

16 DR. JUELSGAARD: COULD I JUST MAKE ONE
17 QUICK COMMENT ABOUT GIL'S PRESENTATION BEFORE WE DO
18 THIS?

19 CHAIRMAN THOMAS: CERTAINLY.

20 DR. JUELSGAARD: I JUST WANT TO THANK DR.
21 SAMBRANO. I THINK THAT WAS, ALTHOUGH LENGTHY, AN
22 EXCELLENT PRESENTATION. AND I PARTICULARLY APPLAUD
23 THE STAFF FOR ADDING THIS TIME THE OTHER PROJECTS
24 THAT WE HAVE GOING ON IN THE AREA AND THE AMOUNT OF
25 FUNDING ASSOCIATED WITH THEM. WE HAVEN'T ADDRESSED

1 THAT BEFORE, AND I THINK IT'S IMPORTANT FOR THOSE OF
2 US THAT ARE VOTING ON THIS TO PUT THESE PROJECTS
3 INTO THAT PERSPECTIVE AS WELL. AGAIN, THANK YOU
4 VERY MUCH, DR. SAMBRANO AND STAFF, FOR THIS
5 PRESENTATION.

6 CHAIRMAN THOMAS: THANK YOU, MR.
7 JUELSGAARD.

8 ANY OTHER PRELIMINARY COMMENTS BY MEMBERS
9 OF THE BOARD BEFORE WE PROCEED TO PUBLIC COMMENT?
10 HEARING NONE, PLEASE PROCEED. WE HAVE OUR FIRST
11 GUEST HERE.

12 DR. LIEU: I'M DEBORAH LIEU. I'M FROM
13 UNIVERSITY OF CALIFORNIA DAVIS. I AM THE PRINCIPAL
14 INVESTIGATOR OF APPLICATION 9460. WE'RE FOCUSING
15 OUR RESEARCH ON DEVELOPING PACEMAKER CARDIOMYOCYTES
16 FROM HUMAN INDUCED PLURIPOTENT STEM CELLS UTILIZING
17 THE MICROENVIRONMENT TO DRIVE THE DIFFERENTIATION
18 TOWARD THIS PACEMAKING TYPE.

19 I WOULD LIKE TO TAKE THIS OPPORTUNITY TO
20 ADDRESS SOME OF YOUR COMMENTS. MAJORITY OF THE
21 COMMENTS, THE CONCERNS THAT REVIEWERS HAVE, REVOLVE
22 AROUND THE NEED FOR THIS BIOPACEMAKER BECAUSE THE
23 ELECTRONIC PACEMAKER SEEMS TO BE SUFFICIENT FOR THE
24 PATIENTS. AND THERE HAVE BEEN RECENT ADVANCEMENTS
25 IN REMOTE SENSING, WIRELESS PACEMAKER, BUT IT

1 DOESN'T MATTER HOW SOPHISTICATED THESE MEDICAL
2 DEVICES GET. THERE ARE CONCERN ISSUES ASSOCIATED
3 WITH ELECTRONICS THAT CANNOT BE FIXED OR BE
4 REDESIGNED TO CIRCUMVENT THESE PROBLEMS, SUCH AS THE
5 REQUIREMENT FOR BATTERIES.

6 SO THESE DEVICES WILL REQUIRE BATTERY
7 REPLACEMENT EVERY FIVE TO EIGHT YEARS AT \$40,000 PER
8 SURGERY. AND THESE DEVICES ARE ALSO SUBJECT TO
9 MAGNETIC INTERFERENCES. SO THESE ARE PROPERTIES
10 ASSOCIATED WITH ELECTRONIC DEVICES THAT CANNOT --
11 THAT'S NOT REALLY FIXABLE. SO THERE'S REALLY A NEED
12 FOR THIS BIOPACEMAKER TO GET AROUND THESE ISSUES.

13 AND, IN ADDITION, BABIES AND CHILDREN WITH
14 FAST GROWTH IN HEART SIZE AND WHO HAVE SMALLER BLOOD
15 VESSELS ARE REALLY NOT IDEAL CANDIDATES TO RECEIVE
16 THESE ELECTRONIC PACEMAKERS.

17 AND, LASTLY, I JUST WANT TO POINT OUT THIS
18 AREA WITH CARDIAC ARRHYTHMIA HAS NOT REALLY BEEN THAT
19 WELL FUNDED COMPARED TO SOME OF THE OTHER CARDIAC
20 ISSUES. THANK YOU FOR CONSIDERING MY APPLICATION.

21 CHAIRMAN THOMAS: THANK YOU VERY MUCH.
22 NEXT PLEASE.

23 DR. SNEDDON: SO HELLO. MY NAME IS JULIE
24 SNEDDON, AND I'M AT UCSF, AND I'M THE PI ON 09635.
25 AND I JUST WANTED TO BRIEFLY THANK EVERYONE FOR THE

1 OPPORTUNITY TO SPEAK AND ALSO THANK THE GWG FOR
2 THEIR FAVORABLE COMMENTS ON OUR PROPOSAL, AS WELL AS
3 THEIR UNANIMOUS RECOMMENDATION FOR FUNDING.

4 I DID WANT TO JUST POINT OUT WHAT I THINK
5 ARE THE KEY BOTTLENECKS THAT OUR PROPOSAL ADDRESSES
6 IN THIS FIELD. THE GOAL OF OUR PROPOSAL, AS YOU
7 JUST HEARD, IS TO DESIGN A CELLULAR THERAPEUTIC TO
8 CURE TYPE 1 DIABETES. AND AS MANY PEOPLE IN THIS
9 ROOM KNOW, THERE HAVE BEEN A NUMBER OF DIFFERENT
10 PROTOCOLS OR STRATEGIES THAT PEOPLE HAVE RECENTLY
11 DEvised TO GENERATE A PANCREATIC BETA CELL FROM A
12 STEM CELL, BUT THERE'S A NUMBER OF IMPORTANT
13 CHALLENGES THAT STILL REMAIN. AND I'LL HIGHLIGHT
14 JUST A FEW OF THEM THAT I THINK THAT OUR PROPOSAL
15 REALLY ADDRESSES.

16 SO THE FIRST IS THE FUNCTION OF THESE
17 CELLS. WE'RE NOT QUITE THERE IN TERMS OF REALLY
18 HAVING A BONA FIDE BETA CELL. THE SECOND IS THE
19 SURVIVAL AND ENGRAFTMENT OF THOSE CELLS ONCE THEY
20 GET INTO A PATIENT, WHICH IS OBVIOUSLY VERY
21 IMPORTANT. THE THIRD RELATES TO THE BATCH-TO-BATCH
22 VARIATION THAT PLAGUES, I THINK, MANY OR ALL OF US
23 WHO ARE DOING THESE TYPES OF DIFFERENTIATION
24 EXPERIMENTS. AND THE FOURTH IS THE STABILITY OF
25 THAT CELL. ONCE YOU MAKE THAT STEM CELL, DOES IT

1 STAY THE PANCREATIC BETA CELL? DOES IT STAY WHAT
2 IT'S SUPPOSED TO BE, OR DOES IT REVERT BACK TO SOME
3 OTHER TYPE OF LESS DIFFERENTIATED PRODUCT?

4 SO WHAT WE'VE DONE IS WE'VE GONE BACK TO
5 THE BIOLOGY ESSENTIALLY AND SAID, HOW DO THESE BETA
6 CELLS GROW UP? HOW DO THEY FORM IN THE HUMAN BEING
7 DURING DEVELOPMENT? AND IT TURNS OUT THAT, LIKE ANY
8 CELL IN YOUR BODY, THEY DON'T EXIST IN ISOLATION.
9 THEY REALLY CO-DEVELOP IN THE MICROENVIRONMENT OR A
10 CELLULAR NICHE.

11 SO THAT'S THE FOCUS OF OUR PROPOSAL.
12 WE'VE DEvised A NOVEL METHOD FOR CREATING THE WHOLE
13 SORT OF MICROENVIRONMENT AROUND THAT BETA CELL. AND
14 WE BELIEVE, GIVEN THE STRENGTH OF OUR PRELIMINARY
15 DATA, THAT THAT'S A VERY SUCCESSFUL STRATEGY THAT
16 HAS ALREADY ALLOWED US TO OVERCOME A NUMBER OF THOSE
17 KEY CHALLENGES I JUST MENTIONED TO YOU. SO WE HAVE
18 A MORE STABLE CELL. THESE CELLS PERSIST IN VITRO OR
19 IN A DISH FOR MANY WEEKS AT LEAST. WE HAVE IMPROVED
20 FUNCTION AND IMPROVED SURVIVAL ONCE THEY GET INTO
21 THE PATIENT. AND WE'VE ALSO SEEN MUCH MORE
22 UNIFORMITY IN THE PRODUCTION OF THESE CELLS AS A
23 RESULT. SO I THINK IT KIND OF MAKES SENSES THAT
24 ONCE YOU MORE CLOSELY RECAPITULATE IN THE BODY AND
25 USE TISSUE ENGINEERING STRATEGIES TO RECAPITULATE

1 THAT IN A DISH, THAT YOU'RE GOING TO GET SOMETHING
2 THAT IS MUCH CLOSER TO WHAT WE WANT.

3 IN SUMMARY, I THINK WE BELIEVE THAT RATHER
4 THAN JUST PUTTING IN BETA CELLS ALONE OR PROGENITORS
5 ALONE, AS HAVE BEEN TRIED, WE BELIEVE THAT THE
6 OPTIMAL THERAPEUTIC BENEFIT FOR TYPE 1 DIABETES WILL
7 REALLY COME WHEN WE HAVE THESE TISSUE ENGINEERING
8 STRATEGIES LIKE WE PROPOSE. I JUST WANT TO THANK
9 YOU AGAIN FOR THE OPPORTUNITY TO SPEAK.

10 CHAIRMAN THOMAS: THANK YOU. NEXT PLEASE.

11 DR. DESAI: WE'RE SHARING TIME. MY NAME
12 IS TEJEL DESAI. I'M FROM UCSF. AND THIS IS
13 MATTHIAS HEBROK, AND WE'RE REPRESENTING THE PROPOSAL
14 THAT IS FOCUSED ON A THIN FILM ENCAPSULATION DEVICE
15 FOR DELIVERY OF STEM CELL-DERIVED INSULIN-PRODUCING
16 CELLS.

17 ONE OF THE THINGS THAT I WANTED TO TALK
18 ABOUT IS THE IMPORTANCE OF ONCE WE HAVE STEM CELLS,
19 HOW ARE WE GOING TO DELIVER THEM? AND WE'RE REALLY
20 FOCUSING ON THINKING ABOUT A NEW STRATEGY FOR
21 MACROENCAPSULATION. WE REALIZE THAT CIRM HAS LOOKED
22 AT THESE TECHNOLOGIES BEFORE AND SUPPORTED THEM. IN
23 FACT, THERE HAVE BEEN SOME INVESTMENTS EVEN AT THE
24 CLINICAL STAGE, BUT THERE ARE SOME REAL BOTTLENECKS
25 IN TERMS OF REALLY INTRODUCING A DEVICE THAT NOT

1 ONLY PROTECTS THE CELLS AND SORT OF HOUSES THEM, BUT
2 REALLY ENHANCES THEIR FUNCTION THAT ALLOWS THEM TO
3 ENGRAFT AND MAINTAIN LONG-TERM VIABILITY. I THINK
4 WE'VE SEEN THROUGHOUT THE COURSE OF MANY STUDIES
5 THAT HAVE BEEN CONDUCTED THAT IF WE DON'T ADDRESS
6 THOSE, THERE WILL BE A REAL CHALLENGE TO TRANSLATION
7 AND HOW WE GET CELLS TO THE PATIENT. SO OUR
8 PROPOSAL FOCUSES ON A BIOENGINEERED DEVICE THAT NOT
9 ONLY SECRETES FACTORS THAT ENHANCE CELL VIABILITY
10 LONG TERM, BUT ALSO IMMUNOMODULATE THE LOCAL
11 ENVIRONMENT SUCH THAT WE CAN REALLY PROVIDE A
12 PROTECTIVE BARRIER. I HAND OVER TO MY COLLEAGUE.

13 DR. HEBROK: I'M MATTHIAS HEBROK FROM
14 UCSF. I'M ACTUALLY DIRECTOR OF THE DIABETES AT THE
15 UCSF'S, SO WE'VE BEEN DOING THIS FOR A LONG TIME.

16 MY PART OF THIS PROJECT, WHICH I THINK IS
17 THE CONSOLIDATION OF THREE IMPORTANT PIECES. ONE IS
18 BIOENGINEERING, WHICH TEJAL IS TALKING ABOUT. AND
19 THE OTHER ONE IS ABOUT IMMUNOLOGY, WHICH QIZHI TANG
20 IS DOING, AND WE ARE PRODUCING THE CELLS FROM STEM
21 CELLS. THIS IS A VERY FAST-MOVING FIELD. DR.
22 SAMBRANO HAS POINTED OUT THAT CIRM HAS ALREADY
23 INVESTED IN THIS KIND OF TECHNOLOGY. LET ME JUST
24 SAY THAT OVER THE LAST SIX MONTHS, MY LAB HAS NOW
25 GENERATED BETA CELLS FROM STEM CELLS. THEY'RE 92

1 PERCENT IDENTICAL TO THE ONES THAT ALL OF YOU GUYS
2 HAVE IN YOUR BODY. THIS IS SOMETHING THAT NO ONE
3 HAS ACHIEVED AS OF YET, AT LEAST NOT PUBLISHED IN
4 THE PUBLICATIONS THAT WE CAN GET. AND WE THINK THAT
5 THESE CELLS ARE READY TO GO. WE ACTUALLY HAVE DATA
6 AND WE HAVE SUBMITTED MORE DATA IN RESPONSE TO VERY
7 GOOD REVIEWERS THAT THEY'VE GOTTEN AND TO SHOW THAT
8 THESE CELLS ARE READY TO SECRETE INSULIN IN THE WAY
9 THAT NORMAL HUMAN BETA CELLS DO IT, AND THEY LAST.

10 DR. DESAI: THANK YOU VERY MUCH.

11 HOPEFULLY OUR COMMENTS ALSO ADDRESS THIS.

12 CHAIRMAN THOMAS: THANK YOU. NEXT PLEASE.

13 DR. PORTEUS: HI. THANK YOU. MY NAME IS
14 MATT PORTEOUS. I'M THE PI ON 9637, THE PROJECT ON
15 GENOME EDITING FOR CYSTIC FIBROSIS. I WANT TO THANK
16 YOU ALL FOR ALLOWING US TO PRESENT. THERE'S GOING
17 TO BE THREE OF US. I'LL TALK BRIEFLY AND THEN
18 INTRODUCE MY TWO SPEAKERS.

19 SO BRIEFLY TO SUPPLEMENT WHAT WAS
20 SUBMITTED AS A WRITTEN SUPPLEMENT AND TO ADDRESS THE
21 CONCERNS IS THAT WE NOW HAVE DATA THAT WE CAN
22 ACTUALLY EFFICIENTLY MODIFY THE CFTR GENE IN AIRWAY
23 STEM CELLS FROM CYSTIC FIBROSIS PATIENTS. AND THAT
24 PROGRESS HAS BEEN MADE SINCE THE SUBMISSION OF OUR
25 PROPOSAL.

1 IN ADDITION, WITH OUR COLLABORATORS
2 DR. POE AND AMIN, HERE WE HAVE NOW SHOWN THAT USING
3 A THREE DIMENSIONAL SCAFFOLD WE CAN EXPAND THESE
4 CELLS BY A THOUSANDFOLD. SO NOW WE HAVE THE ABILITY
5 TO BOTH CHARACTERIZE AND EXPAND THE CELLS PRIOR TO
6 IMPLANTATION.

7 WE RECOGNIZE THAT ENGRAFTMENT OF THESE
8 CELLS IS GOING TO BE ONE OF THE KEY FEATURES TO OUR
9 PROGRAM. WE RECOGNIZE THE CHALLENGES AND HAVE
10 ALREADY INITIATED STUDIES AND HAVE PROPOSED SOME
11 BACKUP STRATEGIES, INCLUDING THE POSSIBILITY OF
12 USING SCAFFOLDS TO IMPLANT THESE CELLS IN THE AREA
13 WE WANT.

14 THE FINAL CONCERN THAT I'LL ADDRESS IS THE
15 ONE ABOUT WHY ARE WE DOING THE SINUS VERSUS THE
16 LUNG. WE RECOGNIZE THAT CYSTIC FIBROSIS IS
17 PRIMARILY A LUNG DISEASE, BUT MY TWO COLLEAGUES ARE
18 GOING TO ADDRESS THE IMPORTANCE OF SINUS DISEASE,
19 BUT THE OTHER REASON WE'RE CHOOSING THE SINUSES IS
20 IT'S AN ACCESSIBLE AND SAFE SITE TO ESTABLISH PROOF
21 OF CONCEPT ON HOW YOU WOULD MODIFY A CELL AND GET IT
22 ENGRAFTED IN THE AIRWAY EPITHELIUM WITHOUT HAVING TO
23 DEAL WITH BOTH THE RISK AND CHALLENGES OF GETTING
24 DEEP INTO THE LUNG IN CYSTIC FIBROSIS PATIENTS.

25 SO WITH THAT, I'M GOING TO INTRODUCE DR.

1 NAYAK, WHO IS ONE OF OUR TEAM, WHO'S AN
2 OTORHINOLARYNGOLOGIST AND TREATS PATIENTS WITH
3 CYSTIC FIBROSIS SINUS DISEASE.

4 DR. NAYAK: PLEASURE TO MEET EVERYONE. SO
5 I'M ONE OF THE SURGEON SCIENTISTS AT STANFORD, AND I
6 EXCLUSIVELY DO SINUS SURGERY FOR A LIVING. I'M
7 HAPPY TO ANSWER ANY QUESTIONS ABOUT ENGRAFTMENT,
8 ACQUISITION OF CELLS, REIMPLANTATION OF THE CELLS IF
9 THERE'S INTEREST AND TIME LATER.

10 BUT CYSTIC FIBROSIS IS A SINISTER, AND
11 IT'S A DEADLY DISEASE OF CHILDHOOD AND OF YOUNG
12 ADULTS. AND YOU WOULDN'T REALLY KNOW THAT IF YOU
13 DIDN'T MEET SOMEONE LIKE CAMERON, WHO'S A PATIENT OF
14 A MINE WHO'S BEEN IN MY PRACTICE FOR ABOUT FIVE, SIX
15 YEARS NOW. BUT HE'S A SENIOR IN COLLEGE, BUT HE'S
16 ALREADY HAD SEVEN SINUS SURGERIES IN NINE YEARS.

17 IT'S A DISEASE THAT LEAVES SCARRING,
18 RECURRENT INFECTIONS, AND NUMEROUS ISSUES WITH THE
19 UPPER AIRWAY, THE SINUSES, THAT DOES AFFECT THE
20 LOWER AIRWAY, AS HE'LL TELL YOU. BUT I APPRECIATE
21 YOU TAKING TIME OUT OF YOUR SENIOR YEAR OF COLLEGE
22 TO COME AND JOIN US.

23 CAMERON: AS DR. NAYAK SAID, MY NAME IS
24 CAMERON (INAUDIBLE), 22 YEARS OLD AND CYSTIC
25 FIBROSIS. CYSTIC FIBROSIS IS A GENETIC DISEASE THAT

1 AFFECTS HUNDREDS OF THOUSANDS OF PEOPLE AROUND THE
2 WORLD WITH A LIFE EXPECTANCY OF ABOUT 37 YEARS. IT
3 AFFECTS THE RESPIRATORY AND DIGESTIVE SYSTEMS OF THE
4 BODY, BUT IT ALSO IMPACTS THE LIVER AND SINUSES,
5 WHICH IS THE MAIN REASON WHY THIS RESEARCH IS SO
6 IMPORTANT.

7 I'VE BEEN TO COUNTLESS DOCTORS' VISITS
8 OVER JUST ONE YEAR. I HAVE AT LEAST ONE
9 HOSPITALIZATION EVERY YEAR FOR VARIOUS LUNG
10 EXACERBATIONS WHEN THEY OCCUR. BUT AS IT'S BEEN
11 DISCUSSED, THE LUNGS ARE THE MAIN ISSUE THAT IS
12 USUALLY BROUGHT UP WITH CYSTIC FIBROSIS. HOWEVER,
13 THE CAUSE MOST OF MY LUNG ISSUES IS ACTUALLY THE
14 SINUSES. AS DR. NAYAK BRIEFLY POINTED OUT, IF
15 THERE'S A SINUS INFECTION, THAT CAN EASILY GO DOWN
16 AND SPREAD INTO THE AIR PASSAGEWAYS, AND THAT CAUSES
17 TISSUE DAMAGE AND DAMAGING OF THE LUNGS IN GENERAL.

18 SO THIS RESEARCH THAT THESE DOCTORS ARE
19 DOING IS JUST AMAZING AND SOUNDS LIKE IT CAN REALLY
20 PROLONG MY LIFE AND ESPECIALLY MY QUALITY OF LIFE
21 BECAUSE HAVING SINUS INFECTIONS CONSTANTLY IS NO
22 FUN. ALWAYS IN PAIN AND THERE'S NOT REALLY ANYTHING
23 YOU CAN DO. THERE HASN'T REALLY BEEN ANY
24 BREAKTHROUGH IN RECENT HISTORY FOR CF IN GENERAL,
25 BUT ESPECIALLY THE SINUSES. AND AS WELL AS THIS,

1 THIS IS RESEARCH WHERE THEY REMOVE STEM CELLS FROM
2 THE NOSE AND THEN CORRECT THEM, IMPLANT THEM BACK
3 INTO THE SINUS CAVITIES, IT CAN RESTORE SINUSES TO
4 NORMAL FUNCTION, WHICH WOULD BE AMAZING.

5 SO ON BEHALF OF THE WHOLE CF COMMUNITY, I
6 JUST WANT TO SAY HOW MUCH THIS RESEARCH REALLY WOULD
7 HELP EVERY CF PATIENT OUT THERE. THANK YOU FOR
8 GIVING ME THE OPPORTUNITY TO SPEAK.

9 MR. TORRES: DOCTOR, I WANTED TO ASK YOU A
10 QUESTION. I KNOW HOW SERIOUS THIS DISEASE IS.
11 DURING MY COLON CANCER RECOVERY, A NEXT DOOR
12 NEIGHBOR OF MINE WAS A YOUNG WOMAN WHO HAD BEEN IN
13 THERE EIGHT TIMES. MY HEART JUST WENT OUT TO HER
14 AND OBVIOUSLY THE WHOLE CF COMMUNITY.

15 WHAT PERCENTAGE OF THE PATIENTS STATEWIDE
16 DO YOU ASSUME FIT INTO THE SINO CATEGORY AS OPPOSED
17 TO THE LUNG CATEGORY?

18 DR. NAYAK: 100 PERCENT OF PATIENTS WHO
19 HAVE CYSTIC FIBROSIS HAVE SOME LEVEL OF SINUSITIS.

20 MR. TORRES: WE THOUGHT IT WAS ALL JUST
21 THE LUNG.

22 DR. NAYAK: RIGHT. SO IT'S A SPECTRUM
23 LIKE ANYTHING ELSE. SOME PEOPLE HAVE SEVERE
24 DIABETES AND SOME PEOPLE HAVE MILD DIABETES. CYSTIC
25 FIBROSIS, I HAVE SOME PATIENTS WHO HAVE NEVER HAD

1 SINUS SURGERY, BUT THEY NEED SINUS TREATMENT, SINUS
2 RINSES, ANTIBIOTICS OCCASIONALLY FOR THE SINUSES,
3 AND MANY, UNFORTUNATELY LIKE CAMERON, HE HAD HIS
4 FIRST SINUS SURGERY AT 12 YEARS OLD, AND HE'S HAD
5 RECURRENT POLYPS, RECURRENT SCARRING, INFECTIONS,
6 MORE AND MORE ADVANCES SURGERIES. NOW HE'S ON NO.
7 7, AND THE LAST ONE WAS A FEW MONTHS AGO.

8 MR. TORRES: WHAT'S YOUR MAJOR?

9 CAMERON: CHEMICAL ENGINEERING.

10 CHAIRMAN THOMAS: NEXT PLEASE.

11 DR. BACCHETTA: HI. MY NAME IS ROSA
12 BACCHETTA, AND I AM FROM STANFORD, AND I AM THE PI
13 OF THE 9526, GENE EDITING FOR FOXP3 IN HUMAN STEM
14 CELLS. AND THANK YOU VERY MUCH FOR THE INTERACTION
15 AND FOR THE RECOGNITION OF THIS.

16 I JUST LIKE TO POINT OUT A COUPLE OF
17 THINGS. ONE IS THAT WE DEVOTED MANY YEARS IN THE
18 PAST CLINICAL AND RESEARCH STUDIES IN IPEX SYNDROME,
19 WHICH IS A SERIES OF DISEASES WITH AUTOIMMUNITY OF
20 GENETIC ORIGIN AFFECTING CHILDREN VERY, VERY EARLY
21 IN LIFE. SO THIS, I BELIEVE, IS A UNIQUE EXPERTISE
22 TO DEVELOP A DEFINITIVE CURE FOR THE DISEASE AND
23 ALSO GIVE US ACCESS INTERNATIONALLY TO RECRUIT
24 PATIENT AND TO RECRUIT PATIENT CELLS FOR THE
25 STUDIES, WHICH, THEREFORE, THIS IS MINIMIZING THE

1 LIMITATION OF TARGETING AREA OF DISEASE.

2 AND ON TOP OF THIS FOXP3 IS THE CAUSE OF
3 THIS GENE. ITS EXPRESSION IS VERY UNIQUE, HIGHLY
4 REGULATED, AND ALSO VERY DIVERSELY REGULATED IN
5 DIFFERENT CELL TYPES (UNINTELLIGIBLE) AND,
6 THEREFORE, THE GENOME EDITING APPROACH THAT WE
7 PROPOSE IS REALLY A UNIQUE TECHNOLOGY THAT COULD
8 PROVIDE CURE OF THE DISEASE AND RESTORATION OF THE
9 FUNCTIONS OF THE STEM CELLS IN THESE PATIENTS.
10 THEREFORE, WE HAVE ALL THE SAFETY AND EFFICACY TO
11 TEST THESE CELLS IN THE LAB. AND, THEREFORE, I
12 BELIEVE IN THE NEXT TWO YEARS WITH THIS WORK WE
13 PROVIDE THE OPPORTUNITY FOR THE CURE OF THIS DISEASE
14 AND ALSO OF THE OTHER DISEASES OF THE IMMUNE SYSTEM
15 GENETICALLY. THANK YOU.

16 CHAIRMAN THOMAS: THANK YOU VERY MUCH.
17 ANY OTHER MEMBERS OF THE PUBLIC WHO WOULD LIKE TO
18 COMMENT AND HAVEN'T THUS FAR? ANY OTHER MEMBERS OF
19 THE PUBLIC AT ANY OF THE OTHER SITES ON THE PHONE
20 THAT WOULD LIKE TO COMMENT AT THIS POINT? HEARING
21 NONE, WE'LL NOW PROCEED TO CONSIDERATION OF THESE
22 PROPOSALS IN PROGRAMMATIC REVIEW. AND I WILL AT
23 THIS POINT TURN THE MEETING OVER TO DR. PRIETO.

24 DR. PRIETO: THANK YOU, J.T. CAN EVERYONE
25 HEAR ME CLEARLY?

1 SO FIRST OF ALL, I JUST WOULD LIKE TO
2 REMIND EVERYONE THAT ANY MEMBERS WHO HAVE AN
3 INTEREST IN AN APPLICATION IN TIER I OR TIER II WILL
4 NOT BE ABLE TO PARTICIPATE IN DISCUSSING AND VOTING
5 ON A MOTION THAT AFFECTS THOSE APPLICATIONS. SO
6 THAT SAID, I WOULD FIRST LIKE TO REMIND EVERYONE WE
7 ARE A LITTLE SHORT ON TIME. WE ONLY HAVE ABOUT 45
8 MINUTES LEFT, AND WE HAVE RUN OUT OF TIME BEFORE.
9 SO IN THE INTEREST OF EFFICIENCY, I'D LIKE TO MOVE
10 THROUGH THIS AS QUICKLY AS WE CAN AND FIRST CONSIDER
11 A MOTION TO MOVE ANY APPLICATIONS FROM TIER II UP TO
12 TIER I. ARE THERE ANY MOTIONS?

13 HEARING NONE, I'D LIKE TO CONSIDER A
14 MOTION NOT TO FUND THE APPLICATIONS THAT REMAIN IN
15 TIER II.

16 MR. TORRES: SO MOVED.

17 MS. WINOKUR: SECOND.

18 DR. PRIETO: OKAY. MOVED AND SECONDED.
19 I'M NOT SURE WHO THAT WAS, BUT WERE THOSE BOTH AT
20 CIRM?

21 MS. BONNEVILLE: IT WAS ART AND DIANE.

22 DR. PRIETO: OKAY. THANK YOU. OKAY. CAN
23 WE HEAR A VOTE? WILL WE NEED TO CALL THE ROLL? I
24 PRESUME.

25 MR. TOCHER: HI, FRANCISCO. THIS IS SCOTT

1 TOCHER. YES. WE'LL MAKE A ROLL CALL VOTE. AND FOR
2 THOSE WHO MAY HAVE A CONFLICT WITH ANY APPLICATION
3 WITHIN TIER II, PLEASE INDICATE YOUR VOTE AYE OR NAY
4 EXCEPT WITH RESPECT TO THOSE APPLICATIONS THAT YOU
5 HAVE A CONFLICT.

6 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

7 DR. DULIEGE: AYE.

8 MS. BONNEVILLE: DAVID HIGGINS.

9 DR. HIGGINS: YES.

10 MS. BONNEVILLE: STEVE JUELSGAARD.

11 MR. JUELSGAARD: YES.

12 MS. BONNEVILLE: SHERRY LANSING. KATHY
13 LAPORTE. LAUREN MILLER.

14 MS. MILLER: AYE.

15 MS. BONNEVILLE: ADRIANA PADILLA. JOE
16 PANETTA.

17 MR. PANETTA: YES.

18 MS. BONNEVILLE: FRANCISCO PRIETO.

19 DR. PRIETO: AYE.

20 MS. BONNEVILLE: ROBERT QUINT.

21 DR. QUINT: YES.

22 MS. BONNEVILLE: AL ROWLETT.

23 MR. ROWLETT: AYE.

24 MS. BONNEVILLE: JEFF SHEEHY. OS STEWARD.

25 DR. STEWARD: YES.

1 MS. BONNEVILLE: JONATHAN THOMAS.

2 CHAIRMAN THOMAS: YES.

3 MS. BONNEVILLE: ART TORRES.

4 MR. TORRES: AYE.

5 MS. BONNEVILLE: DIANE WINOKUR.

6 MS. WINOKUR: YES.

7 MS. BONNEVILLE: MOTION CARRIES.

8 DR. PRIETO: I WOULD NEXT LIKE TO CONSIDER
9 A MOTION TO FUND THOSE APPLICATIONS IN TIER I THAT
10 RECEIVED A UNANIMOUS RECOMMENDATION FOR FUNDING FROM
11 THE GWG.

12 MR. TORRES: SO MOVED.

13 DR. PRIETO: EVERYBODY GIVING A RANK SCORE
14 GAVE THIS A SCORE OF 85 OR ABOVE.

15 MR. ROWLETT: I'LL SECOND ART'S MOTION.

16 DR. PRIETO: OKAY. I DIDN'T HEAR ART'S
17 MOTION, BUT THANK YOU VERY MUCH, SENATOR TORRES.

18 MR. TORRES: I'LL REPEAT IT.

19 DR. PRIETO: OKAY. ALL RIGHT. ANY
20 DISCUSSION?

21 DR. JUELSGAARD: SO REMIND ME WHAT THE
22 MOTION AGAIN IS.

23 DR. PRIETO: OKAY. THE MOTION IS TO FUND
24 JUST THOSE APPLICATIONS IN TIER I THAT RECEIVED A
25 UNANIMOUS RECOMMENDATION FOR FUNDING FROM THE GWG.

1 DR. JUELSGAARD: SO THAT WOULD INCLUDE,
2 AMONGST OTHERS, 09596 AND 09615; IS THAT RIGHT?

3 MS. BONNEVILLE: YES.

4 CHAIRMAN THOMAS: STEVE, IT LOOKS LIKE IT
5 WOULD INCLUDE SEVEN OF THE 14 PROJECTS.

6 DR. JUELSGAARD: I UNDERSTAND, BUT I'M
7 FOCUSING ON TWO SPECIFICALLY.

8 MS. BONNEVILLE: YES.

9 DR. JUELSGAARD: ALL RIGHT. THEN I MOVE
10 TO AMEND SENATOR TORRES' MOTION TO APPROVE ALL BY
11 REMOVING FROM THAT GROUP APPLICATIONS 09596 AND
12 09615 ON THE BASIS THAT WE HAVE ALREADY INVESTED, IN
13 THE FIRST CASE, \$61.6 MILLION IN ONGOING PROJECTS IN
14 THE SAME AREA, AND THE SECOND \$83.1 MILLION IN
15 PROJECTS IN THE SAME AREA.

16 MS. WINOKUR: SECOND.

17 MR. TOCHER: WILL THE MAKER OF THE MOTION
18 ACCEPT AN AMENDMENT?

19 MR. TORRES: NO. I WON'T ACCEPT IT. I
20 THINK WE NEED TO DO THIS RESEARCH. YOU CAN DO A
21 SUBSTITUTE MOTION AFTER THE VOTE.

22 DR. PRIETO: SCOTT, HOW DO WE PROCEED WITH
23 THIS IF WE HAVE TWO COMPETING MOTIONS?

24 MR. TOCHER: FIRST OF ALL, SENATOR TORRES'
25 MOTION HAS THE FLOOR. IF DR. JUELSGAARD WOULD LIKE

1 TO MAKE AN AMENDMENT, WHICH I THINK HE'S OFFERED AND
2 SENATOR TORRES HAS DECLINED, THEN WE'LL PROCEED WITH
3 A VOTE AND A DISCUSSION ON SENATOR TORRES' MOTION
4 WHICH HAS BEEN SECONDED.

5 DR. PRIETO: OKAY. I'LL ASK FOR
6 DISCUSSION ON THE ORIGINAL MOTION.

7 DR. JUELSGAARD: I JUST WILL REPEAT WHAT I
8 SAID. THE TWO PARTICULAR APPLICATIONS, THE ONE
9 ENDING IN 96 AND THE OTHER ENDING IN 15, HAVE
10 ALREADY, THESE TWO PARTICULAR AREAS, AND THIS
11 REVOLVES AROUND, THE FIRST, THE HEART, THE
12 CARDIOVASCULAR AREA, AND THE SECOND AROUND TREATMENT
13 OF CANCER, BOTH HAVE RECEIVED, BOTH AREAS, BOTH
14 THERAPEUTIC AREAS HAVE RECEIVED SUBSTANTIAL FUNDING
15 FROM THIS ORGANIZATION FOR A NUMBER OF PROJECTS. IF
16 WE APPROVE SOMETHING THAT WE'VE ALREADY INVESTED A
17 HUGE AMOUNT OF MONEY IN WILL ULTIMATELY MEAN THAT
18 THERE MAY BE PROJECTS WHICH HAVE RECEIVED VERY
19 LITTLE FUNDING WHICH FALL FARTHER DOWN ON THE LIST,
20 ALTHOUGH THEY ARE ABOVE -- THEY'RE IN THE TIER I
21 GROUP AND, THEREFORE, ELIGIBLE FOR OUR FUNDING,
22 THERE WILL BE PROJECTS THAT WON'T GET FUNDED THAT
23 HAVEN'T HAD THE KIND OF SUPPORT THAT THESE TWO AREAS
24 HAVE.

25 I THINK ONE OF THE OBLIGATIONS, ONE OF THE

1 REASONS WE'RE EVEN DOING WHAT WE'RE DOING IS NOT
2 SIMPLY TO SAY, GOOD JOB, GWG, ON THE SCIENCE SIDE OF
3 THESE PROJECTS. OURS IS TO LOOK MORE DEEPLY AT AN
4 ISSUE THAT NORMALLY THE GWG DOESN'T LOOK AT, WHICH
5 IS PROGRAMMATIC REVIEW. THEY DON'T SEE THESE NUMBER
6 OF ACTIVE PROJECTS AND THE AMOUNTS OF MONEY THAT
7 WE'VE INVESTED LIKE WE DO. THAT'S WHY THIS
8 INFORMATION IS HERE.

9 SO WE HAVE A DECISION, A SECOND DECISION,
10 TO MAKE WHICH IS BEYOND THE SCIENTIFIC MERIT, AND
11 THAT IS HOW DO WE REALLY WANT TO SPEND OUR MONEY,
12 PARTICULARLY IN THESE YEARS WHEN WE HAVE WANING
13 RESOURCES. AND WHEN WE HAVE AREAS THAT HAVE ALREADY
14 RECEIVED OVER TIME SUCH SUBSTANTIAL SUPPORT VERSUS
15 AREAS WHICH HAVE RECEIVED VERY LITTLE SUPPORT, IT
16 SEEMS TO ME INCUMBENT UPON US TO REALLY TAKE THAT
17 INTO ACCOUNT. THAT'S WHY I AMENDED, WHICH WAS
18 REJECTED, THE MOTION TO JUST APPROVE ALL OF THESE IN
19 ONE BIG BUCKET, WHICH I THINK IS NOT QUITE THE RIGHT
20 WAY TO DO IT, BUT IN ANY EVENT TWO OF THEM THAT I
21 THINK HAVE HAD MORE THAN THEIR FAIR SHARE OF
22 ATTENTION IN HOPES THAT WE'LL APPROVE OTHERS THAT
23 HAVEN'T HAD THAT KIND OF ATTENTION.

24 DR. PRIETO: ANY OTHER COMMENTS?

25 DR. STEWARD: I DON'T THINK I'M IN

1 CONFLICT ON THIS MOTION; IS THAT CORRECT?

2 MR. TOCHER: INCORRECT, OS. RIGHT NOW YOU
3 HAVE APPLICATIONS THAT ARE IN TIER I RIGHT NOW.

4 DR. STEWARD: THIS DOESN'T INVOLVE TIER I.
5 THIS INVOLVES ONLY THE ONES THAT WERE UNANIMOUSLY
6 RECOMMENDED FOR FUNDING.

7 MR. TOCHER: YOU ARE CORRECT; HOWEVER,
8 THOSE APPLICATIONS ARE IN TIER I. AND YOU HAVE AN
9 APPLICATION THAT IS IN TIER I. AND AS A RESULT, YOU
10 CANNOT PARTICIPATE IN THE DISCUSSION AND VOTE ON
11 THESE.

12 DR. STEWARD: GOT IT. THANK YOU.

13 DR. PRIETO: SCOTT, I'D LIKE TO MAKE A
14 COMMENT JUST AS SOMEONE WHO PARTICIPATED IN THE
15 REVIEW AND ALSO OBVIOUSLY HERE. BUT PART OF THE
16 PROGRAMMATIC ISSUE, I THINK, IS THE DISEASE IMPACT.
17 AND I THINK THAT IT'S WORTH CONSIDERING THAT WE'RE
18 TALKING ABOUT APPLICATIONS THAT AFFECT REFRACTORY
19 CANCER AND CARDIOVASCULAR DISEASE. I THINK IT IS A
20 PROGRAMMATIC ISSUE THAT THESE DISEASES ARE
21 CONDITIONS THAT AFFECT MILLIONS AND MILLIONS OF
22 PEOPLE. SO THAT IT'S PERHAPS APPROPRIATE FROM A
23 PROGRAMMATIC POINT OF VIEW THAT WE INVEST A LOT OF
24 OUR RESOURCES IN DIFFERENT METHODS TO FIND A
25 SOLUTION TO THOSE PROBLEMS.

1 DR. HIGGINS: COULD I MAKE A COMMENT?

2 DR. PRIETO: PLEASE.

3 DR. HIGGINS: I FULLY APPRECIATE WHAT
4 STEVE IS TRYING TO DO, AND I APPLAUD HIS EFFORTS. I
5 DON'T CRITICIZE THEM WHATSOEVER, BUT I GUESS I WOULD
6 DRAW THE DISTINCTION BETWEEN SATURATED FUNDING FOR
7 AN AREA VERSUS SUBSTANTIAL FUNDING FOR AN AREA. I
8 THINK I WOULD TAG ONTO FRANCISCO'S COMMENTS THAT
9 CANCER AND HEART DISEASE ARE SUCH HUGE INDICATIONS,
10 AND I DON'T THINK THAT WE, CIRM, HAVE SATURATED THE
11 POSSIBILITIES OF CURES AND TREATMENTS THAT WE CAN
12 SAY THAT WE'VE GIVEN ENOUGH MONEY TO ANY OF THOSE.
13 OBVIOUSLY WE WISH WE COULD GIVE MONEY TO EVERYBODY,
14 BUT OBVIOUSLY THAT'S NOT THE POSSIBILITY.

15 SO I GUESS I WOULD ARGUE THAT THIS IS NOT
16 A SATURATED AREA OF OUR FUNDING; AND, THEREFORE, I
17 WOULDN'T EXCLUDE IT FROM ADDITIONAL FUNDING. THAT'S
18 MY COMMENT.

19 DR. PRIETO: OKAY. IF THERE ARE NO MORE
20 COMMENTS, I THINK IT'S ALMOST 11:30 AND WE HAVE
21 SEVERAL OTHER APPLICATIONS WE'RE GOING TO APPROACH
22 INDIVIDUALLY. SO I'D LIKE TO CALL FOR A VOTE.

23 DR. JUELSGAARD: FRANCISCO, COULD I MAKE
24 ONE MORE QUICK COMMENT BEFORE WE DO THAT?

25 DR. PRIETO: GO AHEAD, STEVE.

1 MR. JUELSGAARD: SO I WOULD JUST POINT OUT
2 THAT IF WE FOLLOW THIS APPROACH, THERE ARE PROJECTS
3 THAT ARE AT THE BOTTOM END OF THE PROGRAM THAT DEAL
4 WITH PARKINSON'S DISEASE, TRAUMATIC BRAIN INJURY,
5 CYSTIC FIBROSIS, THINGS LIKE THAT. I'M NOT SAYING
6 IT'S PREDETERMINED THAT WE WON'T FUND THOSE, BUT AT
7 SOME POINT WE'RE NOT GOING TO HAVE THE MONEY TO FUND
8 OTHER PROGRAMS. SO BY AGREEING TO DO WHAT WE'RE
9 DOING, WE'RE BASICALLY ALSO AGREEING THAT WE'RE
10 GOING TO DROP SOME PROGRAMS ALONG THE WAY THAT
11 AREN'T GOING TO GET FUNDED THAT HAVE PRIME NEEDS AS
12 WELL.

13 DR. PRIETO: I THINK THAT'S ALWAYS GOING
14 TO BE TRUE.

15 MR. TOCHER: FRANCISCO, MAY I JUST RESTATE
16 THE MOTION THEN?

17 DR. PRIETO: YES, PLEASE.

18 MR. TOCHER: SO THIS IS A MOTION TO FUND
19 THOSE APPLICATIONS WHICH HAVE RECEIVED A UNANIMOUS
20 TIER I SCORE FROM THE GRANTS WORKING GROUP. THOSE
21 CONSIST OF THE TOP FOUR APPLICATIONS, WHICH ARE
22 9526, 9649, 9565, AND 9615, AND THREE MORE
23 APPLICATIONS, WHICH ARE 9624, 9596, AND 9635.

24 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

25 DR. DULIEGE: YES.

1 MS. BONNEVILLE: DAVID HIGGINS.
2 DR. HIGGINS: YES.
3 MS. BONNEVILLE: STEVE JUELSGAARD.
4 MR. JUELSGAARD: NO.
5 MS. BONNEVILLE: LAUREN MILLER.
6 MS. MILLER: YES.
7 MS. BONNEVILLE: JOE PANETTA.
8 MR. PANETTA: YES.
9 MS. BONNEVILLE: FRANCISCO PRIETO.
10 DR. PRIETO: AYE.
11 MS. BONNEVILLE: ROBERT QUINT.
12 DR. QUINT: YES.
13 MS. BONNEVILLE: AL ROWLETT.
14 MR. ROWLETT: AYE.
15 MS. BONNEVILLE: JONATHAN THOMAS.
16 CHAIRMAN THOMAS: YES.
17 MS. BONNEVILLE: ART TORRES.
18 MR. TORRES: AYE.
19 MS. BONNEVILLE: DIANE WINOKUR.
20 MS. WINOKUR: YES.
21 MS. BONNEVILLE: MOTION CARRIES.
22 DR. PRIETO: OKAY. THAT MOTION HAVING
23 BEEN APPROVED, I WOULD LIKE TO CONSIDER THE
24 REMAINING APPLICATIONS IN TIER I IN RANK ORDER UNTIL
25 WE HAVE REACHED OUR BUDGET CAP. SO CAN I HEAR A

1 MOTION -- IF I'M SEEING THE SLIDE CORRECTLY -- WELL,
2 ACTUALLY WHY IS 9569 ALSO IN GREEN?

3 DR. SAMBRANO: SO WHAT I'M SHOWING IN THE
4 SPREADSHEET IN BLUE NOW ARE THOSE THAT HAVE BEEN
5 APPROVED BY EACH OF THE MOTIONS. SO THE ONES IN
6 BLUE ARE NOW THE ONES THAT FROM THE PREVIOUS MOTION
7 WERE UNANIMOUS AND, THEREFORE, NOW APPROVED FOR
8 FUNDING. AND THE TOTAL OF THE AMOUNT THAT HAS BEEN
9 APPROVED IS IN THE TOP LEFT-HAND CORNER. AND SO THE
10 ONE THAT'S IN GREEN, 9569, WAS NOT UNANIMOUSLY
11 RECOMMENDED. SO, THEREFORE, THAT'S THE NEXT ONE
12 THAT, BASED ON THE DIRECTION YOU WANTED TO GO, WOULD
13 BE THE ONE TO CONSIDER.

14 DR. PRIETO: YES. OKAY. THANK YOU FOR
15 CLARIFYING THAT.

16 COULD I HEAR A -- SO 9569 IS THE
17 HUNTINGTON'S DISEASE APPLICATION. CAN I HEAR A
18 MOTION TO APPROVE?

19 DR. HIGGINS: SO MOVED.

20 DR. DULIEGE: I SECOND.

21 DR. PRIETO: ANY DISCUSSION? OKAY.
22 HEARING NONE, CAN WE CALL THE ROLL.

23 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

24 DR. DULIEGE: AYE.

25 MS. BONNEVILLE: DAVID HIGGINS.

1 DR. HIGGINS: YES.
2 MS. BONNEVILLE: STEVE JUELSGAARD.
3 MR. JUELSGAARD: YES.
4 MS. BONNEVILLE: LAUREN MILLER.
5 MS. MILLER: YES.
6 MS. BONNEVILLE: JOE PANETTA.
7 MR. PANETTA: YES.
8 MS. BONNEVILLE: FRANCISCO PRIETO.
9 DR. PRIETO: AYE.
10 MS. BONNEVILLE: ROBERT QUINT.
11 DR. QUINT: YES.
12 MS. BONNEVILLE: AL ROWLETT.
13 MR. ROWLETT: AYE.
14 MS. BONNEVILLE: JONATHAN THOMAS.
15 CHAIRMAN THOMAS: YES.
16 MS. BONNEVILLE: ART TORRES.
17 MR. TORRES: AYE.
18 MS. BONNEVILLE: DIANE WINOKUR.
19 MS. WINOKUR: YES.
20 MS. BONNEVILLE: MOTION CARRIES.
21 MR. TORRES: SO, MR. CHAIRMAN, HOW MUCH DO
22 WE HAVE LEFT?
23 DR. SAMBRANO: SO THE TOTAL THAT IS
24 APPROVED THUS FAR IS 15.3 MILLION. AND YOU CAN
25 SPEND UP TO 21.3.

1 MS. BONNEVILLE: CAN WE PUT THE REMAINING.
2 IF WE COULD JUST KEEP THAT RUNNING TOTAL OF WHAT'S
3 LEFT, THAT WOULD BE GREAT.

4 MR. TORRES: SO WE HAVE 10 MILLION LEFT ON
5 THE GREEN AREA, CORRECT?

6 MS. BONNEVILLE: NO. YOU HAVE ABOUT SIX
7 MILLION LEFT ROUGHLY.

8 MR. TORRES: WE DON'T HAVE TO GO ON
9 SERIATIM. WE COULD MAKE A MOTION FOR A PROJECT THAT
10 MAY NOT BE NEXT.

11 MR. ROWLETT: GIVEN THE DIRECTION THAT
12 FRANCISCO HAS RECOMMENDED, I WOULD NOT WANT TO DO
13 THAT. I SUPPORTED FRANCISCO'S PROPOSAL TO GO IN
14 RANK ORDER.

15 MR. TORRES: THAT'S FINE. I JUST WANTED
16 TO POINT OUT WE COULD GO ANOTHER WAY AS WELL.

17 DR. PRIETO: THANK YOU. SO GOING IN RANK
18 ORDER, THE NEXT APPLICATION, AND, OF COURSE, IF I
19 DON'T HEAR A MOTION, THEN WE MOVE ON FOR ANY OF
20 THESE, BUT I'D LIKE TO HEAR A MOTION ON APPLICATION
21 NO. 09559.

22 CHAIRMAN THOMAS: FRANCISCO, THIS IS J.T.
23 BEFORE YOU GET MOTIONS ON THAT, WE DO HAVE TO FACTOR
24 IN THAT IF YOU GO THIS ROUTE, WHICH IS CERTAINLY A
25 GOOD ROUTE, THAT THERE COULD BE PROJECTS FOR WHICH

1 PROGRAMMATIC REVIEW DISCUSSION MIGHT PROCEED THAT
2 YOU MAY NOT GET TO IF YOU MAXED OUT IN RANK ORDER.

3 DR. PRIETO: AGREED. ALTHOUGH WE ALSO, I
4 THINK, SHOULD MENTION THAT THERE IS THE OPPORTUNITY
5 FOR RESUBMISSION. IN FACT, AT LEAST TWO OF THE
6 REMAINING APPLICATIONS HAVE ALREADY COME BACK AND
7 BEEN RESUBMITTED AND GOTTEN RECOMMENDATIONS FOR
8 FUNDING. BUT, YES, IF THERE IS DISCUSSION, FURTHER
9 PROGRAMMATIC DISCUSSION, I THINK WE TALKED ABOUT
10 SOME OF THAT WITH STEVE'S AMENDMENT.

11 MR. ROWLETT: I THINK THAT YOUR PROCESS
12 ALSO ALLOWS FOR A PROPOSAL TO NOT BE APPROVED IN
13 SPIITE OF THE ORDER THAT IT MIGHT BE IN. SO JUST
14 BECAUSE WE GO IN RANK ORDER DOES NOT MEAN THAT THE
15 PROPOSAL WILL BE APPROVED BY US.

16 DR. PRIETO: THAT'S CORRECT.

17 I'M NOT HEARING ANY OTHER PROGRAMMATIC
18 COMMENTS. CAN WE HEAR A MOTION ON 9559?

19 DR. HIGGINS: SO MOVED.

20 DR. PRIETO: AND A SECOND?

21 DR. JUELSGAARD: SECOND.

22 MS. MILLER: I'LL SECOND.

23 DR. PRIETO: OKAY. THANK YOU.

24 DISCUSSION?

25 I HAVE SOME COMMENT ALTHOUGH I'M NOT SURE

1 THAT IT'S APPROPRIATE AS THE CHAIR. BUT, SCOTT.

2 MS. BONNEVILLE: YOU'RE FINE.

3 MR. TOCHER: GO AHEAD.

4 DR. PRIETO: THIS IS AN APPLICATION,
5 ACTUALLY ONE OF THE SMALLER ONES IN THIS ROUND, FOR
6 A DIFFERENT ENCAPSULATION DEVICE FOR THE TREATMENT
7 OF TYPE 1 DIABETES. WE HAVE OBVIOUSLY INVESTED A
8 CONSIDERABLE AMOUNT OF MONEY IN THIS AREA. IT IS AN
9 AREA THAT IS FELT TO HAVE POTENTIALLY VERY HIGH
10 IMPACT IN THE TREATMENT OF THIS DISEASE. ONE OF THE
11 ISSUES IN THE PAST THAT WE'VE BEEN FOLLOWING
12 PREVIOUSLY HAVE BEEN TECHNICAL ISSUES WITH THE
13 ENCAPSULATION DEVICE. I THINK SOME OF THE
14 SKEPTICISM -- THERE'S BEEN SOME SKEPTICISM IN THE
15 GWG AS TO WHETHER ENCAPSULATION WILL WORK AT ALL,
16 BUT CLEARLY PROOF OF CONCEPT HAS INDICATED THAT IT
17 CAN, BUT THERE ARE PROBLEMS THAT NEED TO BE SOLVED.
18 SO I THINK IT'S VALUABLE TO LOOK AT PEOPLE WHO ARE
19 TRYING TO DO THIS IN A DIFFERENT WAY.

20 ANY OTHER COMMENTS OR QUESTIONS? CAN WE
21 CALL THE ROLL?

22 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

23 DR. DULIEGE: I APPROVE.

24 MS. BONNEVILLE: DAVID HIGGINS.

25 DR. HIGGINS: YES.

1 MS. BONNEVILLE: STEVE JUELSGAARD.
2 MR. JUELSGAARD: YES.
3 MS. BONNEVILLE: LAUREN MILLER.
4 MS. MILLER: YES.
5 MS. BONNEVILLE: JOE PANETTA.
6 MR. PANETTA: YES.
7 MS. BONNEVILLE: FRANCISCO PRIETO.
8 DR. PRIETO: AYE.
9 MS. BONNEVILLE: ROBERT QUINT.
10 DR. QUINT: YES.
11 MS. BONNEVILLE: AL ROWLETT.
12 MR. ROWLETT: YES.
13 MS. BONNEVILLE: JONATHAN THOMAS.
14 CHAIRMAN THOMAS: YES.
15 MS. BONNEVILLE: ART TORRES.
16 MR. TORRES: AYE.
17 MS. BONNEVILLE: DIANE WINOKUR.
18 MS. WINOKUR: YES.
19 MS. BONNEVILLE: MOTION CARRIES.
20 MR. TORRES: MR. CHAIRMAN, MOVE TO APPROVE
21 THE PARKINSON'S.
22 DR. HIGGINS: I SO MOVE.
23 DR. PRIETO: SO I'LL TAKE THAT AS A MOTION
24 AND A SECOND FOR 09610.
25 MR. TORRES: CORRECT.

1 DR. PRIETO: IS THERE ANY DISCUSSION?

2 DR. HIGGINS: I'D LIKE TO MAKE A VERY
3 SHORT, QUICK COMMENT.

4 DR. PRIETO: GO AHEAD.

5 DR. HIGGINS: THE CRITICISM FOR THIS
6 PROPOSAL WAS NOT KNOWING EXACTLY WHAT LEVEL OF
7 ALPHA-SYNUCLEIN WAS REQUIRED FOR A CELL TO BECOME
8 SORT OF A DISEASE CELL OR A TERMINAL CELL TARGETED
9 FOR CELL DEATH. I WOULD ACKNOWLEDGE THAT, BUT I
10 WOULD ALSO SAY THAT THE USE OF CRISPR-CAS9 BRINGS
11 THE PARKINSON'S RESEARCH COMMUNITY SORT OF IN LINE
12 WITH THE STATE-OF-THE-ART TECHNOLOGY AND WILL NOW
13 GET PEOPLE THINKING MORE SO IN THOSE TERMS. I THINK
14 I JUST WOULD ENCOURAGE EVERYONE TO SUPPORT THIS
15 RESEARCH.

16 DR. PRIETO: THANK YOU, DAVID. ANY OTHER
17 COMMENTS? CALL THE ROLL.

18 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

19 DR. DULIEGE: YES.

20 MS. BONNEVILLE: DAVID HIGGINS.

21 DR. HIGGINS: LET ME THINK ABOUT IT. NO.
22 YES.

23 MS. BONNEVILLE: STEVE JUELGAARD.

24 MR. JUELGAARD: YES.

25 MS. BONNEVILLE: JOE PANETTA.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MR. PANETTA: YES.

MS. BONNEVILLE: FRANCISCO PRIETO.

DR. PRIETO: AYE.

MS. BONNEVILLE: ROBERT QUINT.

DR. QUINT: ABSTAIN.

MS. BONNEVILLE: AL ROWLETT.

MR. ROWLETT: YES.

MS. BONNEVILLE: JONATHAN THOMAS.

CHAIRMAN THOMAS: YES.

MS. BONNEVILLE: ART TORRES.

MR. TORRES: AYE.

MS. BONNEVILLE: DIANE WINOKUR.

MS. WINOKUR: YES.

MS. BONNEVILLE: LAUREN, ARE YOU BACK ON
THE LINE?

MOTION CARRIES.

DR. PRIETO: OKAY. SO WE'RE NOW AT 18.3
MILLION. I'D LIKE TO HEAR A MOTION FOR THE NEXT
APPLICATION, 09631.

MR. TORRES: MR. CHAIRMAN, WE HAVE THREE
MILLION REMAINING, AND THE LAST FOUR OBVIOUSLY WOULD
EXCEED THAT.

DR. JUELSGAARD: HOW MUCH DO WE
SPECIFICALLY HAVE? WHAT'S THE EXACT DOLLAR AMOUNT?

MR. TORRES: 3.012315 REMAINING.

1 DR. PRIETO: DO I HEAR A MOTION TO APPROVE
2 ONE OF THESE APPLICATIONS? THE NEXT ONE IN ORDER IS
3 09631. WE WILL RUN OUT OF MONEY AT SOME POINT, BUT
4 WE NEED A MOTION TO APPROVE AN APPLICATION IN ORDER
5 TO PROCEED.

6 MR. TORRES: IF I MAY, OUT OF ORDER I'D
7 LIKE TO MOVE THE CYSTIC FIBROSIS GRANT.

8 MS. WINOKUR: I AGREE.

9 DR. PRIETO: IS THERE A SECOND?

10 DR. JUELSGAARD: SECOND.

11 DR. PRIETO: DIANE?

12 MS. WINOKUR: YES.

13 MR. JUELSGAARD: AND STEVE.

14 MS. BONNEVILLE: AND STEVE.

15 DR. PRIETO: OKAY.

16 MS. BONNEVILLE: 9637?

17 MS. CHEUNG: YES.

18 DR. DULIEGE: JUST TO BE CLEAR, THAT MEANS
19 THAT NONE OF THE REMAINDER, 31542 AND 460 WILL BE
20 APPROVED. WE'LL HAVE TO MAKE A CHOICE BETWEEN THESE
21 THREE; IS THAT RIGHT?

22 CHAIRMAN THOMAS: IF YOU APPROVE THIS
23 PARTICULAR PROJECT, WE WILL NOT BE ABLE TO APPROVE
24 ANY OF THE REMAINING THREE BECAUSE THEY WILL PUT YOU
25 THROUGH THE LIMIT.

1 DR. DULIEGE: WE CAN CHOOSE WHAT WE
2 BELIEVE IS THE MOST MERITORIOUS APPLICATION TO BE
3 FUNDED RIGHT AWAY; IS THAT RIGHT?

4 DR. PRIETO: YES. WE HAVE FOUR
5 APPLICATIONS LEFT. IF WE FUNDED THE FIRST TWO, WE
6 WOULD COME UP TO OUR LIMIT. IF WE FUND THIS ONE, WE
7 WILL NOT HAVE ENOUGH REMAINING TO FUND ANOTHER
8 APPLICATION. IF WE FUND THE LAST ONE, THE PACEMAKER
9 ONE, I THINK THAT WE ALSO WILL NOT HAVE ENOUGH
10 REMAINING TO FUND ANOTHER APPLICATION.

11 MR. ROWLETT: SENATOR TORRES HAS MADE A
12 MOTION FOR US AND IT'S BEEN SECONDED, IS THAT
13 CORRECT, REGARDING --

14 MS. BONNEVILLE: YES.

15 MR. ROWLETT: SO MY QUESTION FOR STAFF IS
16 REGARDING THIS PROPOSAL. I HAVE JUST A QUESTION. I
17 DON'T RECALL WHAT SOME OF THE CONCERNS WERE. IF YOU
18 COULD RESUMMARIZE WHAT THE CONCERNS WERE RELATED TO
19 THIS PROPOSAL AGAIN.

20 CHAIRMAN THOMAS: AL, I'D LIKE TO ADD TO
21 THAT QUESTION TO DR. SAMBRANO HOW DID THE PUBLIC
22 COMMENT OF THOSE TESTIFYING ADDRESS ANY OF THESE
23 ISSUES, IF THEY DID?

24 DR. SAMBRANO: SO THIS IS GIL. I'LL JUST
25 GO OVER. THIS IS, AGAIN, HIGHLIGHTING SOME OF THE

1 CONCERNS THAT ARE LISTED IN THE SUMMARY DOCUMENT.
2 THE CONCERNS RELATED TO THE CHALLENGING ASPECT OF
3 CORRECTING THE CFTR GENE SIMPLY BECAUSE IT HAS NOT
4 YET BEEN ACHIEVED, ALTHOUGH THE APPLICANTS DO
5 PROPOSE THAT THIS IS SOMETHING THAT THEY HAVE THE
6 ABILITY TO DO. THAT A DECISION TO NOT INCLUDE A
7 METHOD FOR PURIFYING OR ENRICHING FOR THE
8 SUCCESSFULLY GENE-CORRECTED BASAL CELLS IS A
9 LIMITATION. THAT THERE'S AN INSUFFICIENT FOCUS ON
10 CELL TYPES THAT ARE SUCCESSFULLY GENE CORRECTED AND
11 HAVE BOTH THE PROCESS OF GENE EDITING AND CONTINUED
12 CULTURE OF THE CELLS AND PHENOTYPE AND SUITABILITY
13 FOR TRANSPLANTATION. ACHIEVING THE PROJECT WITHIN A
14 TWO-YEAR TIMELINE GIVEN THE AMBITIOUS NATURE OF THE
15 PROJECT. THERE WAS SOME QUESTION ABOUT THE
16 RATIONALE FOR TAKING A DUAL APPROACH OF CORRECTING A
17 SINGLE MUTATION VERSUS WHAT THEY CALL THE UNIVERSAL
18 STRATEGY IN WHICH THE FULL GENE IS REPLACED. AND
19 THEN THE OTHER WAS RELATED TO THE CHOICE OF THE
20 SINUSES AS THE IMPLANTATION SITE AND THE QUESTION OF
21 WHETHER IT WOULD BE AS INFORMATIVE AS PLACEMENT INTO
22 THE LUNG. SO THOSE WERE SOME OF THE CONCERNS.

23 NOW, WHETHER THE APPLICANT ADEQUATELY
24 ADDRESSED THOSE CONCERNS IS SOMETHING I CANNOT
25 ADDRESS. IT REALLY IS THE EXPERT OPINION OF THE GWG

1 PANEL, AND THE CONCERNS COME FROM THEM. SO I CANNOT
2 SPEAK ON THEIR BEHALF IN TERMS OF WHETHER IT
3 ADEQUATELY ADDRESSES THOSE.

4 DR. PRIETO: WOULD ANY OF THE PATIENT
5 ADVOCATES WHO TOOK PART IN THE GWG DISCUSSION WANT
6 TO RESPOND TO THAT?

7 MR. TORRES: YES. THE TESTIMONY WE HEARD
8 TODAY WAS THAT THE PLACEMENT IS THE MOST APPROPRIATE
9 PLACE, WHICH IS IN THE SINO CAVITY, TO DEAL WITH
10 THIS ISSUE. AND THAT WAS RAISED ALSO AT OUR REVIEW.
11 BUT IT STILL CAME UP WITH AN 85, WHICH IS STILL A
12 PRETTY HIGH SCORE GIVEN THOSE CONCERNS THAT WERE
13 RAISED. WHAT I HEARD TODAY, I THINK YOU HEARD IT AS
14 WELL FROM THE EXPERT THAT TESTIFIED, WAS THAT THAT
15 IS THE APPROPRIATE PLACEMENT.

16 DR. PRIETO: THANK YOU. ANY FURTHER
17 DISCUSSION?

18 DR. DULIEGE: IF I CAN JUST MAKE A
19 COMMENT. I REALIZE THE CHALLENGE THAT WE FACE. I
20 WAS WONDERING IF THE CIRM STAFF CAN MAKE A
21 RECOMMENDATION TO THE ICOC ON WHICH OF THESE FOUR
22 APPEARS TO BE THE MORE MERITORIOUS RIGHT NOW. IF WE
23 ARE GOING TO VOTE AS AN ICOC, I BELIEVE THAT,
24 INCLUDING MYSELF, WE HAVE NO -- NOT THE RIGHT
25 INFORMATION TO DIFFERENTIATE BETWEEN THESE FOUR.

1 AND WE'RE GOING TO GO BY OUR OWN SENSITIVITY WHETHER
2 WE LIKE MOST CYSTIC FIBROSIS VERSUS TRAUMATIC BRAIN
3 INJURY, BUT I DON'T THINK IT'S NECESSARILY THE BEST
4 WAY TO ADDRESS THAT. SO EITHER NOW OR SOON, IS
5 THERE ANY OPTION TO HAVE A RECOMMENDATION FROM CIRM?

6 DR. PRIETO: CAN I COMMENT ON THAT?

7 MS. BONNEVILLE: YES.

8 DR. PRIETO: I'M NOT SURE THAT CIRM STAFF
9 CAN OR WOULD WANT TO, BUT I WOULD POINT OUT THAT, IN
10 TERMS OF THE MEDIAN SCORE, THESE FOUR ARE ALL
11 EQUALLY MERITORIOUS. THEY WERE ALL CONSIDERED TO BE
12 VERY GOOD SCIENCE AND WORTHY OF FUNDING. SO THE
13 DECISION REALLY IS OURS, AND A BIG PART OF THAT
14 DECISION DOES HAVE TO FALL DOWN TO OUR PROGRAMMATIC
15 CONSIDERATIONS. SO THAT'S A VALID BASIS FOR MAKING
16 A JUDGMENT. EACH ONE OF THESE HAS SOME, I DON'T
17 KNOW IF I WANT TO SAY FLAWS, BUT QUESTIONS ABOUT
18 THEIR VIABILITY, BUT I THINK THAT'S TRUE OF ANY
19 APPLICATION.

20 CHAIRMAN THOMAS: FRANCISCO, RANDY WOULD
21 LIKE TO MAKE A COMMENT.

22 DR. MILLS: JUST ONE COMMENT. JUST
23 BECAUSE THEY'RE IN THE ROOM, THEY CAN MAKE A
24 RECOMMENDATION. THEY'RE AN INCREDIBLY CAPABLE GROUP
25 OF PEOPLE. WE DON'T, THOUGH, AS PART OF THE

1 PROCESS. AND SO WE WANT THAT TO BE DONE IN LIGHT OF
2 THE GWG'S RECOMMENDATIONS AND THE BOARD'S DECISION.

3 CHAIRMAN THOMAS: FRANCISCO, I'D JUST LIKE
4 TO MAKE A COMMENT THAT THIS IS, IN TERMS OF A
5 CLASSIC PROGRAMMATIC REVIEW POINT, THE FACT THAT WE
6 DON'T HAVE ANY PROJECTS IN THE PORTFOLIO FOR THIS
7 PARTICULAR CONDITION IS SOMETHING THAT I WOULD ARGUE
8 SHOULD WEIGH STRONGLY ON A POSITIVE CONSIDERATION OF
9 THIS PROPOSAL.

10 DR. PRIETO: THANK YOU. ANY OTHER
11 COMMENT?

12 CHAIRMAN THOMAS: FRANCISCO, THE OTHER
13 POINT I'D LIKE TO MAKE, NOT ON THIS SPECIFIC
14 PROPOSAL, BUT JUST AS A GENERAL MATTER IS TO
15 REITERATE WHAT DR. SAMBRANO SAID EARLIER. IF THERE
16 ARE PROJECTS THAT EITHER WERE APPROVED FOR FUNDING
17 AND DON'T GET AN AWARD TODAY OR WERE NOT APPROVED
18 FOR FUNDING AND WISH TO REAPPLY, IN EITHER INSTANCE,
19 THERE WILL BE AN IMMEDIATE OPPORTUNITY TO DO THAT AS
20 SOON AS FEBRUARY 15TH.

21 DR. PRIETO: THANK YOU. ANY FURTHER
22 COMMENTS? HEARING NONE, CALL THE ROLL.

23 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

24 DR. DULIEGE: I HEAR THE QUESTION IS
25 WHETHER WE APPROVE WHAT MOTION EXACTLY? CAN YOU

1 JUST REPEAT IT AGAIN?

2 MR. TORRES: THE MOTION THAT I MADE WAS TO
3 APPROVE --

4 MR. TOCHER: THE MOTION IS TO APPROVE
5 APPLICATION 9637.

6 DR. DULIEGE: I APPROVE. YES.

7 MS. BONNEVILLE: DAVID HIGGINS.

8 DR. HIGGINS: YES.

9 MS. BONNEVILLE: STEVE JUELSGAARD.

10 MR. JUELSGAARD: YES.

11 MS. BONNEVILLE: LAUREN MILLER.

12 MS. MILLER: YES.

13 MS. BONNEVILLE: JOE PANETTA. FRANCISCO
14 PRIETO.

15 DR. PRIETO: AYE.

16 MS. BONNEVILLE: ROBERT QUINT.

17 DR. QUINT: NO.

18 MS. BONNEVILLE: AL ROWLETT.

19 MR. ROWLETT: YES.

20 MS. BONNEVILLE: JONATHAN THOMAS.

21 CHAIRMAN THOMAS: YES.

22 MS. BONNEVILLE: ART TORRES.

23 MR. TORRES: AYE.

24 MS. BONNEVILLE: DIANE WINOKUR.

25 MS. WINOKUR: YES.

1 MS. BONNEVILLE: MOTION CARRIES.

2 DR. PRIETO: SO ANY FURTHER COMMENTS
3 BEFORE WE CLOSE OUT THE MEETING?

4 MR. TOCHER: DR. PRIETO, WE HAVE ONE MORE
5 MOTION I BELIEVE YOU WILL BE CALLING.

6 DR. PRIETO: CAN I HEAR A MOTION TO NOT
7 FUND THE REMAINING APPLICATIONS?

8 DR. JUELSGAARD: SO MOVED.

9 MR. ROWLETT: SECOND.

10 DR. PRIETO: THANK YOU. DISCUSSION? CALL
11 THE ROLL.

12 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

13 DR. DULIEGE: YES.

14 MS. BONNEVILLE: DAVID HIGGINS.

15 DR. HIGGINS: YES.

16 MS. BONNEVILLE: STEVE JUELSGAARD.

17 MR. JUELSGAARD: YES.

18 MS. BONNEVILLE: LAUREN MILLER.

19 MS. MILLER: YES.

20 MS. BONNEVILLE: JOE PANETTA. FRANCISCO
21 PRIETO.

22 DR. PRIETO: AYE.

23 MS. BONNEVILLE: ROBERT QUINT.

24 DR. QUINT: ABSTAIN.

25 MS. BONNEVILLE: AL ROWLETT.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MR. ROWLETT: YES.

MS. BONNEVILLE: JONATHAN THOMAS.

CHAIRMAN THOMAS: YES.

MS. BONNEVILLE: ART TORRES.

MR. TORRES: AYE.

MS. BONNEVILLE: DIANE WINOKUR.

MS. WINOKUR: YES.

MR. TOCHER: OS STEWARD, YOU CAN VOTE AYE
OR NAY EXCEPT WITH RESPECT TO THOSE APPLICATIONS
WITH WHICH YOU HAVE A CONFLICT.

DR. STEWARD: YES, EXCEPT FOR THOSE WITH
WHICH I HAVE A CONFLICT.

MR. TOCHER: AND I'LL CONFIRM THAT YOU
VOTED IN A SIMILAR MANNER ON THE FIRST MOTION
REGARDING TIER II?

DR. STEWARD: YES, CORRECT.

MR. TOCHER: IS JOE PANETTA ON THE LINE?

MS. BONNEVILLE: JUST ONE SECOND PLEASE.
WE JUST NEED TO GET JOE BACK ON THE PHONE.

CHAIRMAN THOMAS: FRANCISCO, J.T. FOR
PROCEDURAL PURPOSES, ONCE WE GET THROUGH THIS, THIS
WILL CONCLUDE THE PROGRAMMATIC REVIEW CONSIDERATION
OF THE APPLICATIONS. WE STILL HAVE GENERAL PUBLIC
COMMENT ON THE AGENDA TO GO --

DR. PRIETO: YES. THANK YOU.

1 CHAIRMAN THOMAS: -- SO IT WON'T QUITE
2 CONCLUDE THE MEETING.

3 MS. BONNEVILLE: WE'RE GOOD.

4 MR. TOCHER: THE MOTION CARRIES.

5 CHAIRMAN THOMAS: SO, DR. PRIETO, THANK
6 YOU VERY MUCH FOR A VERY WELL-RUN PROGRAMMATIC
7 REVIEW OF THESE ITEMS. THAT CONCLUDES THE
8 PROGRAMMATIC REVIEW SESSION.

9 THE ONLY ITEM WE HAVE REMAINING, IS THERE
10 ANY PUBLIC COMMENT ON ANY MATTERS OF ANY SORT THAT
11 ANYONE WOULD LIKE TO MAKE EITHER HERE OR ON THE
12 PHONE?

13 MS. BONNEVILLE: I JUST WANTED TO REMIND
14 ALL OF OUR BOARD MEMBERS THAT WE HAVE AN IN-PERSON
15 BOARD MEETING ON FEBRUARY 23D, AND WE WILL SEND OUT
16 DETAILS SHORTLY. WE WILL BE HAVING IT HERE AT CIRM
17 HEADQUARTERS.

18 CHAIRMAN THOMAS: THANK YOU, EVERYBODY,
19 FOR YOUR PARTICIPATION. WE STAND ADJOURNED.

20 DR. PRIETO: THANK YOU.

21 (THE MEETING WAS THEN CONCLUDED AT
22 11:51 A.M.)

23
24
25

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE TELEPHONIC PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE AND THE APPLICATION REVIEW SUBCOMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD ON JANUARY 19, 2017, WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152
133 HENNA COURT
SANDPOINT, IDAHO
(208) 255-5453