

BETH C. DRAIN, CA CSR NO. 7152

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
AND THE APPLICATION REVIEW SUBCOMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: CALIFORNIA INSTITUTE FOR
REGENERATIVE MEDICINE
1999 HARRISON STREET, SUITE 1650
OAKLAND, CALIFORNIA

DATE: FEBRUARY 23, 2017
9 A.M.

REPORTER: BETH C. DRAIN, CSR
CA CSR. NO. 7152

BRS FILE NO.: 2017-09

I N D E X

ITEM DESCRIPTION	PAGE NO.
REPORTS & DISCUSSION ITEMS	
1. CALL TO ORDER.	4
2. PLEDGE OF ALLEGIANCE.	4
3. ROLL CALL.	4
4. CHAIRMAN'S REPORT.	11
5. PRESIDENT'S REPORT.	26
PROPOSED CONSENT CALENDAR ITEMS 6	51
6. CONSIDERATION OF APPOINTMENT OF NEW SCIENTIFIC MEMBERS TO THE GRANTS WORKING GROUP.	
7. CONSIDERATION OF AMENDMENTS TO CIRM TRAVEL POLICY.	
ACTION ITEMS	
8. CONSIDERATION OF APPLICATIONS SUBMITTED IN RESPONSE TO CLIN 1: PARTNERING OPPORTUNITY FOR LATE STAGE PRECLINICAL PROJECTS AND CLIN 2: PARTNERING OPPORTUNITY FOR CLINICAL TRIAL STAGE PROJECTS.	53
•CLIN1-09472 SUMMARY	
•CLIN1-09759 SUMMARY - ITEM DEFERRED	
•CLIN2-09284 SUMMARY	
•CLIN2-09504 SUMMARY	
•CLIN2-09730 SUMMARY	
9. CONSIDERATION OF ALPHA CLINICS CONCEPT PLAN.	71

I N D E X (CONT'D.)

10. CONSIDERATION OF AMENDMENTS TO THE DISCOVERY, TRANSLATION, AND CLINICAL CONCEPT PLANS.	98
11. CONSIDERATION OF INITIATION OF PROCESS TO ADOPT NEW INTELLECTUAL PROPERTY RULES FOR NEW AWARDS.	172
12. CLOSED SESSION	NONE
DISCUSSION ITEMS:	
13. CLINICAL PROGRAM UPDATES	186
14. PUBLIC COMMENT.	NONE

1 OAKLAND, CALIFORNIA; THURSDAY, FEBRUARY 23, 2017

2 9 A.M.

3
4 CHAIRMAN THOMAS: GOOD MORNING, EVERYBODY.
5 WOULD LIKE TO CALL THE FIRST IN-PERSON MEETING OF
6 THE ICOC TO ORDER HERE IN NONRAINY, BEAUTIFUL
7 OAKLAND. VERY NICE CHANGE OF PACE UP HERE, AS I
8 KNOW IT IS DOWN IN SOUTHERN CALIFORNIA AS WELL.
9 THIS IS THE FIRST MEETING WE HAVE HAD OF THE BOARD
10 IN OUR NOT-SO-NEW NOW HEADQUARTERS HERE IN OAKLAND,
11 WHICH WE'VE BEEN IN FOR THE PAST 15 MONTHS.

12 FOR THOSE HERE IN THE ROOM, WELCOME TO OUR
13 HEADQUARTERS. REALLY HAPPY TO HAVE EVERYBODY HERE
14 AND ENCOURAGE YOU TO TAKE A LOOK AROUND WHEN YOU GET
15 A CHANCE AFTER THE MEETING. FOR THOSE WHO ARE ON
16 THE PHONE, WE LOOK FORWARD TO YOUR VISITING AT YOUR
17 CONVENIENCE.

18 MARIA, WOULD YOU PLEASE LEAD US IN THE
19 PLEDGE OF ALLEGIANCE.

20 (THE PLEDGE OF ALLEGIANCE.)

21 CHAIRMAN THOMAS: MARIA, WOULD YOU PLEASE
22 CALL THE ROLL.

23 MS. BONNEVILLE: GEORGE BLUMENTHAL.

24 DR. BLUMENTHAL: HERE.

25 MS. BONNEVILLE: LINDA BOXER.

1 DR. BOXER: HERE.
2 MS. BONNEVILLE: KEN BURTIS.
3 DR. BURTIS: HERE.
4 MS. BONNEVILLE: DEBORAH DEAS.
5 DR. DEAS: HERE.
6 MS. BONNEVILLE: JACK DIXON.
7 DR. DIXON: HERE.
8 MS. BONNEVILLE: ANNE-MARIE DULIEGE.
9 DR. DULIEGE: HERE.
10 MS. BONNEVILLE: HOWARD FEDEROFF.
11 DR. FEDEROFF: HERE.
12 MS. BONNEVILLE: ELIZABETH FINI.
13 DR. FINI: HERE.
14 MS. BONNEVILLE: JUDY GASSON.
15 DR. GASSON: HERE.
16 MS. BONNEVILLE: DAVID HIGGINS.
17 DR. HIGGINS: HERE.
18 MS. BONNEVILLE: STEPHEN JUELSGAARD.
19 SHERRY LANSING.
20 MS. LANSING: HERE.
21 MS. BONNEVILLE: KATHY LAPORTE.
22 DR. LAPORTE: HERE.
23 MS. BONNEVILLE: BERT LUBIN. SHLOMO
24 MELMED.
25 DR. MELMED: HERE.

1 MS. BONNEVILLE: LAUREN MILLER.
2 MS. MILLER: HERE.
3 MS. BONNEVILLE: ADRIANA PADILLA.
4 DR. PADILLA: HERE.
5 MS. BONNEVILLE: JOE PANETTA. FRANCISCO
6 PRIETO.
7 DR. PRIETO: HERE.
8 MS. BONNEVILLE: ROBERT QUINT. AL
9 ROWLETT. JEFF SHEEHY.
10 MR. SHEEHY: HERE.
11 MS. BONNEVILLE: OSWALD STEWARD.
12 DR. STEWARD: HERE.
13 MS. BONNEVILLE: JONATHAN THOMAS.
14 CHAIRMAN THOMAS: HERE.
15 MS. BONNEVILLE: ART TORRES.
16 MR. TORRES: HERE.
17 MS. BONNEVILLE: KRISTINA VUORI.
18 DR. VUORI: HERE.
19 MS. BONNEVILLE: DIANE WINOKUR. BRUCE
20 WINTRAUB.
21 CHAIRMAN THOMAS: THANK YOU, MARIA.
22 A COUPLE OF HOUSEKEEPING ITEMS. FOR THOSE
23 HERE IN THE ROOM, IT'S BEST TO PULL YOUR MICS CLOSER
24 TO YOU. I THINK THEY ALL HAVE SOME EXTENSION ON
25 THEM. ALSO, WE CAN ONLY HAVE THREE MICS OPEN AT

1 ONCE, NOT THAT WE'RE ALL GOING TO BE TALKING OVER
2 EACH OTHER, BUT OCCASIONALLY ONE FORGETS TO TURN IT
3 OFF. IF THAT'S THE CASE, SOMEBODY GETTING ON WOULD
4 BE THE FOURTH MIC AND WILL NOT ABLE TO SPEAK. SO IF
5 YOU REMEMBER FIRST TO TURN YOUR MIC ON AND THEN TO
6 TURN IT OFF WHEN YOU ARE FINISHED, THAT WOULD BE
7 GREAT.

8 LASTLY, FOR THOSE OF YOU WHO ARE ON THE
9 PHONE, AS YOU ALWAYS DO, IF YOU COULD PLEASE MUTE
10 YOUR PHONES.

11 YES, MARIA.

12 MS. BONNEVILLE: I'D LIKE TO CONFIRM THAT
13 BRUCE WINTRAUB IS ON THE PHONE. AL ROWLETT.

14 MR. ROWLETT: AL ROWLETT IS ON THE PHONE.

15 CHAIRMAN THOMAS: HELLO, AL. HOW YOU
16 DOING, AL?

17 I'D LIKE TO START HERE BY WELCOMING OUR
18 NEWEST MEMBER, CHANCELLOR GEORGE BLUMENTHAL FROM
19 UNIVERSITY OF CALIFORNIA SANTA CRUZ TO MY LEFT. I
20 WOULD LIKE TO HAVE THE CHANCELLOR SAY A FEW WORDS BY
21 WAY OF INTRODUCTION TO THE GROUP.

22 DR. BLUMENTHAL: THANK YOU VERY MUCH, J.T.
23 I REALLY APPRECIATE THE OPPORTUNITY TO SERVE WITH
24 THIS GROUP. VERY BRIEFLY, I'VE BEEN A FACULTY
25 MEMBER AT THE UNIVERSITY OF CALIFORNIA FOR 45 YEARS.

1 I WAS HIRED AT THE AGE OF SEVEN. AND MY FIELD IS
2 ASTRONOMY AND ASTROPHYSICS, AND MY SPECIALTY IS I'M
3 A THEORETICAL ASTROPHYSICIST WHO STUDIES DARK MATTER
4 IN THE UNIVERSE AND LARGE-SCALE STRUCTURE IN THE
5 UNIVERSE.

6 I SERVED AS THE CHAIR OF THE ACADEMIC
7 SENATE FOR THE WHOLE UC SYSTEM AND ALSO HAVE SERVED
8 FOR TWO YEARS AS FACULTY REPRESENTATIVE TO THE BOARD
9 OF REGENTS OF THE UNIVERSITY OF CALIFORNIA, AND THEN
10 SUBSEQUENTLY WAS NAMED CHANCELLOR AT UC SANTA CRUZ
11 WHERE I'M NOW IN MY ELEVENTH YEAR IN THAT ROLE.
12 THANK YOU.

13 CHAIRMAN THOMAS: THANK YOU VERY MUCH,
14 MR. CHANCELLOR. HE WILL ENTERTAIN ANY QUESTIONS
15 THAT YOU MAY HAVE ON THE RECENT DISCOVERY OF THE
16 SEVEN EARTH-LIKE PLANETS THAT WAS ANNOUNCED
17 YESTERDAY AND ANY OTHER ASTRONOMY ISSUES YOU CARE TO
18 DISCUSS.

19 MR. TORRES: GEORGE, YOUR ROLE IN DARK
20 MATTER WILL BE ESPECIALLY HELPFUL IN SACRAMENTO IN
21 THE DAYS AHEAD.

22 IT IS AN HONOR TO HAVE MY CHANCELLOR, I'M
23 A USC GRADUATE AS WELL, SO NOW YOU HAVE TWO BANANA
24 SLUGS ON THIS BOARD. BUT GEORGE HAS BEEN A
25 TREMENDOUS FRIEND AND A TREMENDOUS ASSET FOR THE

1 STATE OF CALIFORNIA. SO I'M GLAD THAT HE FOUND THE
2 TIME AND THE COMMITMENT TO SERVE WITH US ON THIS
3 BOARD AND TO KNOW THAT UC SANTA CRUZ IS WELL
4 REPRESENTED FOR THE INTERESTS THAT THEY SHARE.

5 SECONDLY, I'M ALSO PROUD, IF I MAY TAKE
6 THIS PERSONAL PLEASURE, TO INTRODUCE TO YOU THE
7 NEWEST SUPERVISOR OF SAN FRANCISCO CITY AND COUNTY,
8 JEFF SHEEHY, WHO WAS APPOINTED BY THE MAYOR LAST
9 YEAR. ACCORDING TO MY SON IS HAVING A GREAT TIME ON
10 THE BOARD, AND I JUST WANT TO MAKE SURE EVERYBODY
11 KNEW HOW PROUD WE ARE THAT ONE OF OUR OWN IS NOW AN
12 ELECTED OFFICIAL IN A VERY DIFFICULT TIME.

13 (APPLAUSE.)

14 MR. TORRES: FINALLY, I WANT TO SAY THAT
15 THE TWO FLAGS IN THIS ROOM HAVE HAD QUITE A JOURNEY.
16 THEY STARTED WITH ME IN THE ASSEMBLY AND IN MY
17 OFFICE AND IN MY SENATE OFFICE. THOSE FLAGS HAVE
18 BEEN WITH ME ALMOST 40 YEARS. I WANT TO SAY HOW
19 PROUD I AM THAT THEY'RE BEING USED HERE FOR THIS
20 PURPOSE.

21 CHAIRMAN THOMAS: THANK YOU. AND, JEFF,
22 WOULD YOU LIKE TO SAY A FEW WORDS ABOUT THE NEW
23 POSITION? WE'D REALLY LOVE TO HEAR HOW IT'S GOING.

24 MR. SHEEHY: SURE. AND THANK YOU, SENATOR
25 TORRES; THANK YOU, J.T. IT HAS BEEN QUITE A RIDE.

1 I WAS NOT ANTICIPATING THIS, AND I FOUND OUT ON A
2 THURSDAY NIGHT, ANNOUNCED ON A FRIDAY, SWORN IN ON
3 SUNDAY, FIRST BOARD MEETING ON MONDAY. SO THAT WAS
4 REALLY A RUSH, NOT SO DIFFERENT FROM WHEN I CAME
5 ONTO THIS BOARD. WHEN THE APPOINTMENT WAS MADE, I
6 WAS LIKE WHAT? ALSO WHEN MY CHILD CAME INTO MY
7 LIFE, THAT WAS ALSO VERY SIMILAR. NO WARNING.

8 I DO FIND IT INTERESTING THAT DR.
9 BLUMENTHAL IS AN EXPERT ON DARK MATTER BECAUSE, TO
10 REALLY BE SERIOUS, THESE ARE VERY, VERY DARK TIMES.
11 AND WHAT I'M EXPERIENCING AND SEEING DIRECTLY IS THE
12 FACES OF MUSLIMS WHO ARE TERRIFIED. SO WE JUST
13 PASSED A BILL OUT OF COMMITTEE TO NOT COOPERATE WITH
14 THE MUSLIM REGISTRY. AND THE FEAR THAT OUR MUSLIM
15 BROTHERS AND SISTERS ARE FEELING IS REAL. WE'VE HAD
16 ICE RAIDS IN SAN FRANCISCO AT A PRESCHOOL. THE FEAR
17 THAT OUR IMMIGRANT COMMUNITY IS FEELING IS REAL, IS
18 REAL.

19 AND BEFORE I GOT THIS APPOINTMENT, I WOULD
20 HAVE BEEN READING STUFF IN THE NEWSPAPER. AND THEN
21 OUR TRANS AND NONCONFORMING KIDS JUST TODAY, AND
22 THERE'S SOMETHING REALLY, REALLY TERRIFYING TAKING
23 PLACE IN THIS COUNTRY WHERE THE WEAK AND THE
24 VULNERABLE ARE BEING PICKED OVER AND TARGETED ONE BY
25 ONE BY ONE. SO I KNOW THIS IS A STATE BOARD AND

1 WE'RE ROUGHLY APOLITICAL, BUT THERE'S SOMETHING
2 UNIQUE THAT'S HAPPENING NOW. AND THIS AGENCY,
3 FRANKLY, WAS CREATED IN RESPONSE TO WHAT WAS
4 HAPPENING AT THE FEDERAL GOVERNMENT. BUT WHAT IS
5 HAPPENING NOW IS SOMETHING WHERE ALL OF US, NO
6 MATTER WHAT OUR POLITICAL AFFILIATIONS ARE, WE HAVE
7 TO STAND TOGETHER BECAUSE THIS IS BEYOND ANYTHING
8 I'VE EVER EXPERIENCED IN MY LIFE.

9 (APPLAUSE.)

10 CHAIRMAN THOMAS: THANK YOU, JEFF. SO ON
11 TO THE CHAIRMAN'S REPORT. SO AS YOU MAY KNOW, EVERY
12 YEAR IN JANUARY THERE IS A CONFERENCE HELD IN SAN
13 FRANCISCO CONVENED BY JP MORGAN, WHICH IS REALLY THE
14 PREMIERE CONFERENCE OF THE BIOTECH INDUSTRY WHICH
15 BRINGS TOGETHER COMPANIES, INVESTORS, PATIENTS ALL
16 TO GAUGE THE PROGRESS OF THE INDUSTRY FROM THE YEAR
17 PAST. AND IT'S A TREMENDOUS NETWORKING EVENT WHICH
18 MANY OF US HERE AT CIRM ANNUALLY GO TO FOR A VARIETY
19 OF REASONS.

20 AS YOU KNOW, IN THE PAST I'VE RELAYED TO
21 THE BOARD A FEW SLIDES. EVERY YEAR THE ALLIANCE FOR
22 REGENERATIVE MEDICINE DOES A STATE-OF-THE-INDUSTRY
23 SESSION WHICH GIVES SORT OF A REALITY CHECK ON THE
24 PROGRESS OF THE FIELD. AND EVERY YEAR ONE COMES
25 AWAY FROM THAT FEELING LIKE THE MOMENTUM CONTINUES

1 TO BUILD, PROGRESS CONTINUES TO BE MADE. AND
2 TOWARDS THAT, SORRY THOSE OF YOU ON THE PHONE WON'T
3 BE ABLE TO SEE THIS, BUT I'LL SPEAK TO IT, I HAVE A
4 FEW SLIDES FROM THIS YEAR'S PRESENTATION THAT I
5 WANTED TO SHARE WITH THE BOARD JUST SO YOU GET A
6 FEEL FOR THE PRESENTATION THAT WAS MADE AND THE
7 STATE OF THE INDUSTRY.

8 SO IF I COULD DIRECT EVERYBODY'S ATTENTION
9 TO THE SCREEN HERE. SO, FIRST, GLOBAL LANDSCAPE.
10 THIS IS ALL INFORMATION ON COMPANIES AROUND THE
11 WORLD IN THE REGENERATIVE MEDICINE SPACE. THIS MAP
12 IN FRONT OF US SHOWS 759 COMPANIES AND GROWING, OF
13 WHICH THE BULK ARE IN THE UNITED STATES, OR I SHOULD
14 SAY IN NORTH AMERICA AND IN EUROPE. THE NUMBER OF
15 CLINICAL TRIALS IN THE REGENERATIVE MEDICINE SPACE
16 AT THE END OF 2016 IS NOW 802, WHICH IS VERY
17 IMPRESSIVE. A LOT OF THESE, I WILL HASTEN TO POINT
18 OUT, DEAL WITH PROJECTS THAT ARE NOT THE KIND THAT
19 CIRM FUNDS. IF YOU'RE WONDERING WHY THAT TOTAL IS
20 SO HIGH, WE OPERATE A UNIQUE NICHE IN FUNDING, AS
21 YOU KNOW, PROJECTS THAT ARE NOT FUNDED ELSEWHERE.
22 SO MANY OF THESE ARE THE TYPES THAT WE WOULD NOT
23 FUND. JUST FOR THE SAKE OF UNDERSTANDING THE TOTAL
24 PICTURE FOR THE INDUSTRY, THIS IS A 21-PERCENT
25 GROWTH OVER THE PREVIOUS YEAR, AND EACH YEAR THIS

1 SLIDE CONTINUES TO SHOW A DRAMATIC IMPROVEMENT.

2 NEXT SLIDE IS CLINICAL TRIALS BY
3 THERAPEUTIC CATEGORY. AGAIN, YOU CAN SEE HERE THAT
4 ONCOLOGY IS THE VAST MAJORITY OF THESE. FOR THOSE
5 ON THE PHONE, IN ORDER, CARDIOVASCULAR, CENTRAL
6 NERVOUS SYSTEM, INFECTIOUS DISEASE, MUSCULOSKELETAL,
7 DERMATOLOGY, IMMUNOLOGY, OPHTHALMOLOGY, AND SO ON
8 DOWN TO A NUMBER OF VERY RARE DISEASES.

9 ON THE NEXT SLIDE WE'RE GOING -- A COUPLE
10 OF THESE ARE GOING TO BE A LITTLE BUSY. WE'RE NOT
11 GOING TO SPEND MUCH TIME. FOR THOSE IN THE ROOM,
12 WANTED YOU TO SEE THIS. THIS SLIDE IS ENTITLED
13 "CELL AND GENE THERAPIES, MAJOR THERAPEUTIC
14 PLATFORMS, AND ENABLING TECHNOLOGIES." AND IT TALKS
15 ABOUT MODIFIED T-CELLS, IPSC, CRISPR, OTHER GENE
16 EDITING TECHNIQUES. THOSE OF YOU LOOKING AT THIS
17 SLIDE WILL READILY RECOGNIZE THAT WE ARE FUNDING
18 TECHNOLOGIES IN VIRTUALLY ALL OF THESE THINGS THAT
19 ARE LISTED HERE.

20 A VERY BUSY SLIDE WHICH SHOWS, AS YOU KNOW
21 IN THE GENE MODIFICATION AND GENE THERAPY FIELD THAT
22 ALL INVOLVES EITHER THE INTRODUCTION OF VARIOUS
23 THINGS THROUGH VECTORS OR GENE EDITING TECHNIQUES OR
24 WHATEVER, THIS IS A LIST OF THE MANY COMPANIES
25 WORLDWIDE THAT EMPLOY THOSE DIFFERENT TECHNIQUES.

1 AND IT LISTS A WHOLE BUNCH OF COMPANIES, AND IT
2 GROWS ANNUALLY.

3 WE'RE GOING TO SKIP OVER THE NEXT COUPLE.
4 THIS IS JUST OR SOME THIS ONE AT ANY RATE, IT'S A
5 SLIDE SHOWING WHAT IS VIEWED TO BE THE MAJOR EVENTS
6 THAT WILL OCCUR IN CLINICAL TRIAL DATA OVER THE NEXT
7 YEAR, AND IT SHOWS ABOUT TEN COMPANIES OR SO, AND
8 LISTS THE ADVANCEMENTS THAT CAN BE EXPECTED LARGELY
9 IN PHASE 3 REPORTING, WHICH SHOULD BE VERY EXCITING.
10 AND I'M SURE NEXT YEAR AT THE JP MORGAN CONFERENCE,
11 WE WILL HEAR ABOUT HOW THESE THINGS DID AT THAT
12 POINT IN RETROSPECT.

13 THERE IS A LOT OF MOVEMENT THESE DAYS
14 TOWARDS CORPORATIONS EITHER MERGING OR JOINT
15 VENTURING OR WHATEVER WITH EACH OTHER. THIS SLIDE
16 THAT I PUT UP HERE IS KEY CORPORATE PARTNERSHIPS OF
17 2016. SO WHETHER IT'S -- FOR EXAMPLE, JUST LOOK AT
18 THE FIRST ONE, BIOGEN AND UNIVERSITY OF
19 PENNSYLVANIA. THIS IS A RELATIONSHIP DEALING WITH
20 GENE THERAPY PROGRAMS AND IS VERY MUCH THE KIND OF
21 THING THAT WE ARE LOOKING TO ENCOURAGE WITH RESPECT
22 TO PROJECTS THAT WE HAVE FUNDED IN ACADEMIA HERE AND
23 SPENT A LOT OF TIME DEVELOPING TOWARDS GETTING
24 INDUSTRY INVOLVEMENT IN OUR FUNDED PROJECTS.

25 IF YOU SORT OF GO DOWN THE LINE -- BY THE

1 WAY, FOR THOSE ON THE PHONE, I'LL BE SENDING OUT
2 THIS SLIDE DECK, SO YOU CAN, AT YOUR LEISURE, TAKE A
3 LOOK AT THIS. THIS JUST SHOWS EXAMPLES OF VERY BIG
4 TICKET THINGS THAT ARE GOING ON. I'LL MENTION ONE,
5 FOR EXAMPLE, BAYER AND VERSANT, WHICH IS A LIFE
6 SCIENCES VENTURE CAPITAL FIRM, LAUNCHED AN IPSC
7 THERAPY COMPANY CALLED BLUE ROCK THERAPEUTICS AND
8 HAD A VERY SIGNIFICANT SERIES A RAISE OF 225 MILLION
9 LAST DECEMBER, WHICH, FOR THOSE OF YOU FAMILIAR WITH
10 THE VENTURE BUSINESS, THAT'S A VERY SIZABLE INITIAL
11 FINANCING AND A VERY INTERESTING ONE.

12 SO I THINK THE TAKEAWAY FROM THE SLIDE IS
13 THERE'S A LOT OF MOVEMENT AFOOT IN INDUSTRY GETTING
14 PROGRESSIVELY MORE INTERESTED IN THE REGENERATIVE
15 MEDICINE SPACE AND IS SOMETHING THAT IS GOING TO BE
16 A DEVELOPMENT THAT, AS MORE AND MORE PROJECTS PROVE
17 OUT OVER TIME, WILL BE INCREASING DRAMATICALLY.

18 THERE WERE A NUMBER OF IPO'S IN THE
19 REGENERATIVE MEDICINE AREA. THIS IS A LIST OF TEN
20 OR SO HERE. A LOT OF THESE HAD TO DO WITH GENE
21 EDITING TECHNOLOGIES, EDITAS CRISPR THERAPEUTICS,
22 ETC., BUT YOU CAN SEE THAT THERE WERE SOME VERY
23 SIGNIFICANT AMOUNTS RAISED, NOT JUST IN THE
24 U.S., BUT IN EUROPE AS WELL.

25 AS FAR AS TOTAL FINANCINGS IN THE INDUSTRY

1 IN 2016, IT WAS A BIT OF A DOWN YEAR IN TERMS OF
2 DOLLAR AMOUNT. 2015 WAS A SPECTACULAR YEAR IN TERMS
3 OF THE AMOUNT OF DEALS DONE. THIS PAST YEAR WE HAD,
4 STILL A HUGE AMOUNT, \$5.3 BILLION WORTH OF MONEY
5 RAISED FOR REGENERATIVE MEDICINE COMPANIES. THAT
6 WAS WELL DOWN FROM THE 11 BILLION IN 2015. OF THAT
7 A LOT OF FUNDING IN THE AREAS OF GENE AND
8 GENE-MODIFIED CELL THERAPY, TISSUE ENGINEERING, AND
9 CELL THERAPY ITSELF THAT ADDED UP TO THAT FIGURE.
10 THE TAKEAWAY HERE, AGAIN, IS THE CAPITAL MARKETS ARE
11 VERY INTERESTED IN THE FIELD AND WILL CONTINUE TO BE
12 MORE SO OVER TIME.

13 THIS NEXT SLIDE SHOWS TOTAL FINANCINGS BY
14 TYPE, TYPE OF FINANCING, FOR THE YEAR 2016. THOSE
15 ON THE PHONE, IT SHOWS THE DOLLAR AMOUNTS DEVOTED TO
16 M & A PIPES, WHICH ARE INVESTMENTS IN COMPANY STOCK,
17 VC FINANCING, PARTNERSHIPS OF ONE SORT OR ANOTHER,
18 FOLLOW-ON FINANCINGS FOR COMPANIES THAT HAVE ALREADY
19 DONE IPO'S AND IPO'S IN GENERAL, ALL OF WHICH ARE
20 EITHER IN THE HIGH NINE-FIGURE OR LOW TEN-FIGURE
21 RANGE. AGAIN, A LOT OF ACTIVITY.

22 I'M GOING TO SKIP OVER THIS. THIS SORT OF
23 BREAKS DOWN THE SAME THING COMPARING 2014, 15, AND
24 16. YOU CAN LOOK AT THIS SLIDE WHEN I SEND IT OUT
25 TO EVERYBODY. AND THAT IS IT.

1 THERE WERE SOME OTHER SLIDES THAT THEY HAD
2 IN THE PRESENTATION, BUT THOSE I WANTED SPECIFICALLY
3 TO BRING TO THE BOARD'S ATTENTION BECAUSE IT JUST
4 SHOWS THE MOMENTUM AND GENERAL STATE OF THINGS.

5 ANY QUESTIONS ABOUT ANY OF THESE OR
6 COMMENTS ABOUT ANY OF THESE SLIDES? OKAY. HEARING
7 NONE, NEXT, EVERY YEAR A NUMBER OF OUR GRANTEE
8 INSTITUTIONS HOST ANNUAL SYMPOSIA WHERE THEY BRING
9 TOGETHER A GREAT SERIES OF LECTURERS WHO ARE DOING
10 WORK IN REGENERATIVE MEDICINE, ALWAYS INCLUDING A
11 NUMBER OF CIRM GRANTEES. THERE WERE A COUPLE I
12 WANTED TO HIGHLIGHT HERE, AND I WANTED TO ASK A
13 COUPLE OF OUR TEAM MEMBERS WHO WERE THERE TO SAY A
14 WORD OR TWO ABOUT THEM.

15 FIRST WAS UCLA, WHICH WAS NOTEWORTHY. I
16 THINK THIS WAS LIKE THEIR ELEVENTH OR TWELFTH SUCH
17 SYMPOSIA. THEY PULLED TOGETHER THE SCIENTISTS FROM
18 USC, UCLA, AND UC SAN FRANCISCO, AND THE REASON FOR
19 THAT GROUPING WAS THEY HAD IN ATTENDANCE ELI AND
20 EDIE BROAD, WHO WERE GREAT BENEFACTORS OF CIRM IN
21 GENERAL AND SPECIFICALLY WERE VERY MATERIAL
22 CONTRIBUTORS TO THE CONSTRUCTION OF THE STEM CELL
23 INSTITUTES AT THOSE THREE INSTITUTIONS, ALL OF WHICH
24 ARE NAMED IN THEIR HONOR. SO THEY WERE IN
25 ATTENDANCE. THEY HAD A VERY NICE LUNCH IN THE

1 MIDDLE OF THINGS FOR THEM AT WHICH A NUMBER OF
2 PEOPLE SPOKE THANKING THEM FOR WHAT THEY HAD DONE
3 FOR CIRM, FOR THE FIELD, FOR THE INSTITUTIONS.

4 AND AMONGST THE SPEAKERS WERE THE PARENTS
5 OF EVANGELINA, WHO WAS AT OUR DECEMBER BOARD
6 MEETING, WHO IS ALSO THE COVER GIRL ON YOUR ANNUAL
7 REPORT THAT'S AT YOUR DESK HERE. AND HER MOM AND
8 DAD SPOKE AND WERE MOST ELOQUENT, AS THEY WERE AT
9 OUR BOARD MEETING, AND HEARTFELT IN THEIR GRATITUDE
10 TO THE BROADS FOR ALL THEY'VE DONE FOR THE FIELD IN
11 GENERAL AND FOR THEIR DAUGHTER SPECIFICALLY. IT WAS
12 A WONDERFUL MOMENT AND VERY MOVING SET OF TALKS BY
13 THEM.

14 SO I WANTED TO ASK PAT IF SHE WOULD SAY A
15 FEW WORDS ABOUT THE UCLA SYMPOSIUM ITSELF. WE HAD A
16 NUMBER OF PEOPLE FROM CIRM REPRESENTED THERE AS WE
17 DO EVERY YEAR. SO DR. OLSON.

18 DR. OLSON: THANKS, CHAIRMAN THOMAS, AND
19 GOOD MORNING, EVERYONE. I JUST WANTED TO TAKE A
20 COUPLE MINUTES AND SHARE SOME OF THE HIGHLIGHTS.
21 THIS WAS A ONE-DAY MEETING. IT WAS ESTABLISHED AND
22 YOUNG INVESTIGATORS FROM THE THREE INSTITUTIONS.
23 AND I JUST WANTED TO SHARE WITH YOU SOME OF THE
24 HIGHLIGHTS OF THE MEETING.

25 SO DR. KRIEGSTEIN FROM UCSF TALKED ABOUT

1 HOW HIS LAB STUDIES ON HUMAN CORTICAL DEVELOPMENT
2 HAVE LED TO A NUMBER OF INSIGHTS THAT ADDRESS THE
3 PATHOGENESIS OF LISSENCEPHALY WHICH IS A GENETIC
4 DISEASE WHERE YOU HAVE SMOOTH BRAIN. HE ALSO TALKED
5 ABOUT HOW IT LOOKS LIKE IN GLIOBLASTOMA. SOME OF
6 THE CANCER STEM CELLS LOOK LIKE THEY HAD A
7 PROGENITOR CELL PHENOTYPE THAT RESEMBLES THAT OF THE
8 ORIGINAL CELLS. AND FINALLY, ZIKA VIRUS INFECTION.
9 HE'S ACTUALLY IDENTIFIED RECEPTORS IN SOME OF THESE
10 NEURAL PROGENITORS AND IS REPURPOSING A DRUG THAT IS
11 NOW IN CLINICAL TRIALS IN BRAZIL THAT MAY BLOCK SOME
12 OF THOSE RECEPTORS.

13 WE HEARD ABOUT RESEARCH THAT COULD IMPACT
14 KIDNEY FAILURE THROUGH STUDIES ON THE DEVELOPING
15 HUMAN KIDNEY AND MIMICKING WHAT IS LEARNED IN VITRO
16 AND IN ORGANOID CULTURE. THAT WAS ANDY MCMAHON AT
17 USC.

18 WE HEARD ABOUT STUDIES THAT COULD IMPACT
19 LIVER FAILURE BY DIRECTLY PROGRAMMING OF THE
20 FIBROTIC CELLS IN A LIVER TO BECOME FUNCTIONAL
21 HEPATOCYTES.

22 WE HEARD ABOUT RESEARCH THAT COULD IMPACT
23 DEAFNESS BY ADDRESSING WHY THERE IS REGENERATION OF
24 HAIR CELLS, WHICH THE LOSS OF WHICH OFTEN LEADS TO
25 DEAFNESS, OCCURS IN NONMAMMALIAN VERTEBRATES, BUT

1 DOESN'T OCCUR IN MAMMALIAN VERTEBRATES.

2 WE HEARD ABOUT STUDIES THAT COULD IMPACT
3 INFERTILITY CAUSED BY CHEMOTHERAPY THROUGH THE
4 GENERATION OF GERMLINE CELLS FROM IPSC, AND THIS IS
5 FROM AMANDA CLARK.

6 WE HEARD ABOUT AN AGGRESSIVE SUBTYPE OF
7 ADVANCED PROSTATE CANCER THAT REALLY HAS STEM
8 CELL-LIKE CHARACTERISTICS. IT'S A NEUROENDOCRINE
9 TUMOR. IT'S VERY RESISTANT TO ALL TREATMENTS. AND
10 THE DISCOVERY OF IT BEING A STEM CELL PHENOTYPE HAS
11 LED TO THE IDENTIFICATION OF NEW MARKERS AND
12 HOPEFULLY NEW TREATMENTS.

13 AND FINALLY, WE HEARD FROM DON KOHN. WE
14 HEARD FROM DON ABOUT THIS 25-YEAR ODYSSEY TO
15 ESSENTIALLY TREAT SCID, IN PARTICULAR ADA-SCID, THAT
16 HAS CULMINATED -- HE'S TREATED NOW, I THINK, ABOUT
17 30 OR 40 PATIENTS. WE'RE FUNDING ONE OF THE PHASE 2
18 TRIALS, AS YOU ALL HEARD AT OUR MOST RECENT BOARD
19 MEETING, THAT HAS RESULTED IN A CURE OF A LITTLE
20 GIRL, EVANGELINA VACCARO.

21 SO IT WAS A GREAT MEETING, AND THE
22 INTERESTING FOCUS WAS IT WAS ALL TRANSFORMING
23 MEDICINE. WHAT ARE WE DOING WITH STEM CELL RESEARCH
24 THAT COULD TRANSFORM MEDICINE. THANKS.

25 (APPLAUSE.)

1 CHAIRMAN THOMAS: THANK YOU, PAT.

2 NOW FOR SOME COMMENTS ON THE STANFORD
3 SYMPOSIUM, I WOULD LIKE TO ASK DR. MILLAN IF SHE
4 WOULD SAY A FEW WORDS.

5 DR. MILLAN: THANK YOU, CHAIRMAN THOMAS
6 AND MEMBERS OF THE BOARD. I AND MANY MEMBERS OF OUR
7 TEAM HERE AT CIRM WERE FORTUNATE TO ATTEND THE
8 FEBRUARY 3D FIRST ANNUAL SYMPOSIUM OF THE CENTER FOR
9 DEFINITIVE AND CURATIVE MEDICINES AT STANFORD, WHICH
10 WAS LED BY DR. MARIA GRACIA. AND THE MEETING WAS A
11 FULL-DAY MEETING OF INDUSTRY AND ACADEMIC
12 PARTICIPANTS. OVER 500 REGISTRANTS WERE IN
13 ATTENDANCE.

14 THE MEETING WAS KICKED OFF BY OUR OWN DEAN
15 MINOR, WHO GAVE AN INTRODUCTION AND EXPRESSED
16 SUPPORT FOR THE CENTER, AND ALSO BY THE CEO'S OF
17 STANFORD HEALTHCARE AND STANFORD CHILDREN'S
18 HOSPITAL. SO THIS IS A JOINT INITIATIVE BETWEEN THE
19 MEDICAL SCHOOL AND ALL THE HOSPITALS TO CREATE
20 TRANSLATIONAL MACHINERY TO TAKE THE DISCOVERIES AT
21 STANFORD ALL THE WAY THROUGH INTO CLINICAL TRIALS.
22 SO THEY RECENTLY LAUNCHED THE GMP FACILITY AT
23 STANFORD.

24 MEMBERS OF OUR BOARD WERE IN ATTENDANCE AS
25 WELL AS MANY CIRM TEAM MEMBERS. IT WAS A VERY FULL

1 DAY ABOUT FRESH-OFF-THE-BENCH RESEARCH AS WELL AS
2 RESEARCH THAT'S LED TO CLINICAL TRIALS FOR
3 INDICATIONS IN RARE DISEASES AND TOUGH-TO-TREAT
4 INDICATIONS. SO IRV WEISSMAN KICKED OFF THE MEETING
5 BY GIVING KIND OF A HISTORICAL PERSPECTIVE ON
6 APPROACHES TO CANCER TREATMENT WITH STEM CELLS AS
7 WELL AS NOVEL APPROACHES, ONE OF WHICH WE'RE FUNDING
8 WHICH IS TARGETING AN ANTIGEN THAT HELPS CANCER
9 CELLS EVADE THE IMMUNE SYSTEM. SO THAT WAS A NICE
10 WAY TO START THE MEETING.

11 THERE WERE FOUR SESSIONS, AND MANY OF THE
12 PRESENTERS WERE ACTUALLY CIRM GRANTEES. AND
13 THROUGHOUT THE MEETING, THERE WAS A LOT OF THANKS
14 GIVEN TO CIRM FOR SUPPORTING THE RESEARCH THAT HAS
15 BROUGHT MANY OF THESE PROGRAMS FORWARD. AND SO
16 THERE WERE PRESENTATIONS BY GARY STEINBERG IN STROKE
17 AND SPINAL CORD INJURY, STEM CELL APPROACHES TO
18 THOSE INDICATIONS, LESSONS LEARNED, AND WHAT THE
19 FUTURE IS FOR THAT FIELD. JEFFREY GOLDBERG FOR
20 CORNEAL DISEASE. THERE WERE PRESENTATIONS ON TISSUE
21 REPLACEMENT APPROACHES FOR CARDIAC AS WELL AS
22 DIABETES, AND AN EXCITING APPROACH THAT JUDY
23 SHIZURU, ONE OF OUR GRANTEES, IS CURRENTLY
24 RESEARCHING. IT'S CURRENTLY IN A CLINICAL TRIAL TO
25 DO A NONCHEMOTHERAPY-BASED CONDITIONING REGIMEN BY

1 TARGETING BONE MARROW STEM CELLS TO CREATE THE SPACE
2 SO THAT TRANSPLANTED CELLS COULD ENGRAFT AND
3 FUNCTION. AND THE IDEA BEHIND THIS IS THAT THIS
4 WOULD IMPROVE THE CHANCES OF SUCCESS FOR TRANSPLANT
5 AS WELL AS DECREASE THE MORBIDITY ASSOCIATED WITH
6 CHEMOTHERAPY.

7 ONE OF THE COMPANIES THAT J.T. HAD
8 MENTIONED IN HIS INTRODUCTION, CRISPR IS A SPIN-OFF
9 OF SOME OF THE GENOME EDITING TECHNOLOGIES THAT'S
10 COME OUT OF STANFORD. SO MATT PORTIAS, SOME OF THE
11 PROGRESS WITH CRISPR-BASED GENOME EDITING AND SOME
12 VERY EXCITING NEXT GENERATION CANCER IMMUNOTHERAPY
13 APPROACHES, SUCH AS NEXGEN CAR T CHIMERIC ANTIGEN
14 RECEPTOR T-CELL APPROACHES TO CANCER BY CRYSTAL
15 MCCALL. AND ALSO REGULATING THE IMMUNE SYSTEM.
16 MARIA GRACIA RONCAROLO AND HER TEAM HAVE NOW JUST
17 ANNOUNCED, JUST RECENTLY DAYS BEFORE, THAT THEY
18 RECEIVED APPROVAL FROM THE FDA, NOT APPROVAL, BUT AN
19 ALLOWANCE TO GO AHEAD WITH THE CLINICAL TRIAL FOR
20 T-REGULATORY CELLS IN TAMING THE IMMUNE SYSTEM. SO
21 THAT'S FOR TREATMENT OF CANCER AS WELL AS FOR IMMUNE
22 DISORDERS.

23 SO IT WAS A REALLY EXCITING MEETING, AND
24 IT WAS CAPPED BY STANFORD'S NEW PRESIDENT MARC
25 TESSIER-LAVIGNE GIVING A VERY INSPIRATIONAL TALK

1 VERY, VERY SUPPORTIVE OF THIS AREA OF RESEARCH.

2 THANK YOU VERY MUCH.

3 (APPLAUSE.)

4 CHAIRMAN THOMAS: THANK YOU, DR. MILLAN.

5 I'D LIKE TO ASK SENATOR TORRES TO SAY A
6 FEW WORDS ABOUT A MEETING THAT HE CONVENED HERE IN
7 THE CIRM OFFICES WITH SECRETARY OF STATE ALEX
8 PADILLA.

9 MR. TORRES: I'VE BEEN TRYING TO BRING AS
10 MANY CONSTITUTIONAL OFFICERS BY OUR HEADQUARTERS
11 HERE TO LET THEM KNOW WHAT'S GOING ON. OUR FIRST
12 VISITOR WAS BETTY YEE, CONTROLLER, WHO LIVES IN
13 OAKLAND, SO IT WAS AN EASY COMMUTE FOR HER, AND ALSO
14 SERVES AS HEAD OF OUR OVERSIGHT SUBCOMMITTEE, TO GO
15 OVER OUR FISCAL ISSUES.

16 WE'RE MEETING MARCH 2D WITH KEVIN MULLIN,
17 WHO'S THE SPEAKER PRO TEM OF THE ASSEMBLY, WHO ALSO
18 CHAIRS THE COMMITTEE ON BIOTECHNOLOGY. AND HE'S
19 GOING TO BE COMING BY AND GET AN INCREDIBLE BRIEFING
20 BY DR. MILLS AS HE'S PRONE TO DO ON OCCASION.
21 THAT'S A JOKE.

22 AND THEN JUST RECENTLY WE HAD ALEX
23 PADILLA, WHO WAS MY CARREL FELLOW IN THE '90S AND IS
24 THE SECRETARY OF STATE NOW, AND HAS ALWAYS BEEN VERY
25 INTERESTED BECAUSE OF HIS PARENTS' CONDITION OF

1 DIABETES AND ALSO HEADING UP THE L.A. COUNTY
2 DIABETES ASSOCIATION FOR MANY YEARS. HE'S A FORMER
3 MEMBER OF THE BOARD OF MIT AND THE ONLY LATINO TO DO
4 SO. AND ALSO IS A VERY YOUNG, ASSERTIVE LEADER, AND
5 I THINK WILL BE A GREAT ASSET AND ALLY FOR US.

6 AND THEN THE LAST ONE IS MAYOR LEE,
7 MEETING WITH HIM TODAY, MY FORMER CHIEF OF STAFF,
8 THE ATTORNEY GENERAL, XAVIER BECERRA, WHO I HOPE TO
9 BRING BY HERE AS WELL AS WE COALESCE OUR SUPPORT IN
10 ANTICIPATION OF WHATEVER MAY BE COMING DOWN THE
11 ROAD.

12 SO I'M JUST TRYING TO MAKE SURE THAT
13 THEY'RE AWARE AND ALSO THAT THE MEETING THAT
14 OCCURRED LAST YEAR BETWEEN PRESIDENT MILLS AND
15 CHAIRMAN THOMAS AND MARIA WITH KEVIN DELEON,
16 PRESIDENT OF THE STATE SENATE, I THINK IS ANOTHER
17 EXAMPLE OF HOW WE NEED TO MOVE FORWARD ON THIS
18 ELEMENT. WHAT I DIDN'T REALIZE WAS THAT KEVIN IS
19 PART CHINESE. THAT CAME OUT IN A *SACRAMENTO BEE*
20 STORY EARLIER THIS WEEK. SO I GUESS WE'RE FINDING A
21 LOT ABOUT OURSELVES AS WE MOVE FORWARD.

22 CHAIRMAN THOMAS: THANK YOU, MR. SENATOR.

23 I WANTED TO REITERATE WHAT A TERRIFIC JOB
24 SENATOR TORRES DOES IN KEEPING EVERYBODY APPRISED UP
25 IN SACRAMENTO ABOUT, NOT JUST THERE, BUT THROUGHOUT

1 ALL OF THE STATE AND FEDERAL LEGISLATIVE DISTRICTS
2 ABOUT WHAT WE DO HERE AT CIRM AND SENDS OUT ALWAYS
3 PERSONAL NOTES HIGHLIGHTING PARTICULAR PROJECTS THAT
4 ARE IN PEOPLE'S DISTRICTS. REALLY HE'S DONE A
5 WONDERFUL JOB AND CONTINUES HELPING KEEP CIRM IN
6 FRONT OF MIND WITH THE LEGISLATORS AND LET THEM KNOW
7 WHAT GREAT WORK WE DO. SO THANK YOU VERY MUCH,
8 MR. SENATOR.

9 THAT CONCLUDES MY COMMENTS. ANYBODY HAVE
10 ANY QUESTIONS ON ANYTHING? OKAY. WITH THAT, THEN,
11 I WILL TURN IT OVER TO DR. MILLS FOR THE PRESIDENT'S
12 REPORT.

13 MS. WINOKUR: MAY I INTERRUPT. I'M HERE.

14 CHAIRMAN THOMAS: THANK YOU.

15 DR. MILLS: CHAIR THOMAS, MEMBERS OF THE
16 BOARD, THANK YOU VERY MUCH. I JUST HAVE 178 QUICK
17 SLIDES TO GO THROUGH. NO, I'M JOKING.

18 BUT LET'S GET IN WITH IT. SO THE THINGS I
19 WANT TO BE TALKING ABOUT TODAY, FIRST, I'LL BE
20 OBVIOUSLY REITERATING THE MISSION AS I ALWAYS DO. A
21 QUICK REVIEW, AND I MEAN A QUICK, BRIEF REVIEW OF
22 OUR STRATEGIC AND MORE SPECIFICALLY OUR ANNUAL PLAN
23 JUST SO WE'RE ALL ON THE SAME PAGE OF WHAT IT IS
24 WE'RE TRYING TO ACCOMPLISH THIS YEAR AND WHERE WE'RE
25 GOING OVER THE FIVE-YEAR PERIOD.

1 I'VE DONE THIS BEFORE, THIS 2.0
2 PERFORMANCE. I ACTUALLY HAVE A LOT MORE PERFORMANCE
3 DATA HERE THAT YOU MIGHT FIND INTERESTING, BUT I'LL
4 BE GOING OVER A BUDGET REVIEW JUST BECAUSE WE HAVE
5 TO GO THROUGH THIS TO MAKE SURE WE DON'T GET OFF
6 TRACK. AND THEN, LASTLY, A BRIEF UPDATE ON OUR
7 ACCELERATING AND TRANSLATING CENTERS.

8 AS ALWAYS, OUR MISSION IS TO ACCELERATE
9 STEM CELL TREATMENTS TO PATIENTS WITH UNMET MEDICAL
10 NEEDS. WE ALWAYS ORIENT TOWARDS THIS AT CIRM. THIS
11 IS OUR TRUE NORTH. IT IS THE IMMOVABLE THAT WE
12 ALWAYS LOOK TO FOR GUIDANCE ON WHETHER OR NOT
13 WHATEVER IT IS WE'RE DOING IS MOVING IN THE RIGHT
14 DIRECTION OR NOT.

15 A LITTLE BIT ABOUT NOW OUR STRATEGIC PLAN
16 AND WHY CIRM EXISTS. SO WE HAVE THESE VARIOUS
17 COMPONENTS THAT EXIST AT CIRM: INFRASTRUCTURE,
18 EDUCATION, DISCOVERY, TRANSLATIONAL, AND CLINICAL.
19 AND WHAT WE'VE ATTEMPTED TO DO WITH OUR STRATEGIC
20 PLAN IS ALIGN ALL OF THOSE TO CREATE A VERY POWERFUL
21 ENGINE THAT WILL HELP US TAKE IDEAS AND TURN THEM
22 INTO CURES, BUT DO SO VERY SPECIFICALLY AT A SPEED,
23 AT A VOLUME, AND AT A SUCCESS RATE THAT IS HIGHER
24 THAN WOULD OTHERWISE HAPPEN WITHOUT CIRM. IF WE ARE
25 NOT WORKING ON VOLUME, SPEED, AND QUALITY IN THIS,

1 THEN WE'RE NOT ACTUALLY DEMONSTRATING THE VALUE OF
2 OURSELVES. SO A LOT OF WHAT WE MEASURE CENTERS
3 AROUND THESE.

4 SO AS THE BOARD ADOPTED IN DECEMBER OF
5 2015 OUR STRATEGIC PLAN, WE LAID OUT WHAT WE CALL
6 THE BIG 6, THE GOALS THAT WE WANT TO ACCOMPLISH BY
7 2020. FIFTY NEW CANDIDATES INTO DEVELOPMENT,
8 PROGRESSION EVENTS. THIS IS WHEN A PROGRAM WE FUND
9 IN AN EARLY STAGE MOVES TO THE NEXT STAGE OF
10 DEVELOPMENT. WE WANT TO INCREASE THAT BY 50
11 PERCENT. NEW REGULATORY PARADIGMS THAT CAN HELP GET
12 STEM CELL THERAPIES TO PATIENTS FASTER. WE WANT TO
13 REDUCE TRANSLATIONAL TIME. RIGHT NOW TRANSLATIONAL
14 TIME FOR A CELL THERAPY STANDS AT EIGHT YEARS. THE
15 SAME EXACT JOURNEY MADE BY A NONCELL THERAPY TAKES
16 3.2 YEARS. OUR GOAL IS TO CUT THAT EIGHT YEARS IN
17 HALF. FIFTY ADDITIONAL CLINICAL TRIALS, SO IT WOULD
18 GIVE US ABOUT 65 BY 2020. AND THEN, LASTLY, WE WANT
19 THOSE CLINICAL PROGRAMS TO BE VALIDATED, WE WANT
20 THEM TO BE PARTNERED UP WITH INDUSTRY, SO INDUSTRY
21 CAN GO FORWARD AND TAKE THEM OUT AND TREAT MASSES OF
22 PEOPLE. SO THESE ARE OUR 2020 STRATEGIC GOALS.

23 ONE OF THE THINGS YOU NOTICE ABOUT THEM IS
24 THEY'RE VERY OBJECTIVE. WE WILL HIT THESE OR WE
25 WILL NOT HIT THESE, BUT IT WILL BE KNOWN ONE WAY OR

1 THE OTHER. BY THE WAY, THE TEAM IS GOING TO HIT
2 THESE.

3 SO IN THE DECEMBER MEETING, WE APPROVED,
4 THE BOARD APPROVED, THE BUDGET FOR THIS YEAR. THIS
5 IS THE BUDGET THAT WAS APPROVED IF YOU WILL RECALL.
6 SO WE HAD ACTUALLY ALREADY FUNDED EDUCATION, SO JUST
7 A MILLION DOLLARS IN CONFERENCE GRANTS; DISCOVERY,
8 \$52 MILLION IN FUNDING; \$45 MILLION FOR
9 TRANSLATIONAL RESEARCH; UP TO 215 MILLION FOR
10 CLINICAL, BUT THAT INCLUDES 75 MILLION SET ASIDE FOR
11 ALPHA CLINICS; AND INFRASTRUCTURE -- NOT ALPHA
12 CLINICS. I'M SORRY. CLINICAL HAS 75 MILLION FOR
13 ATP3 SET ASIDE. THEN INFRASTRUCTURE, WE HAVE SET
14 ASIDE 16 MILLION FOR THE ADDITION OF TWO NEW ALPHA
15 CLINICS TO OUR NETWORK TO BRING THEIR TOTAL TO FIVE.

16 IF YOU BACK OUT, 329 MILLION IS THE BUDGET
17 SPEND FOR THIS YEAR IF ALL 75 IS ALLOCATED TO THE
18 ATP3. IF NOT, THIS SPEND RATE IS ALMOST EXACTLY THE
19 AWARD RATE WE HAD LAST YEAR.

20 SO IMPORTANTLY, WHAT DO WE GET FOR THAT?
21 WHAT ARE WE GOING TO TRY TO ACCOMPLISH THIS YEAR?
22 SO OUT OF THE 50 NEW CANDIDATES WE WANT BY 2020, WE
23 WANT TO ADD 13 OF THEM INTO THE PIPELINE THIS YEAR.
24 THIS IS THE FRONT END OF THE ENGINE. THIS IS THE
25 IDEAS, THE NEW CANDIDATES THAT CAN THEN BE TAKEN

1 FORWARD. PROGRESSION EVENTS, WE HAD 16 LAST YEAR.
2 WE WANT TO HAVE 16 THIS YEAR. THIS IS A SIGNIFICANT
3 INCREASE FROM WHAT WE USED TO HAVE. DEPENDING ON
4 THE STAGE OF PROGRAM, OUR PROGRESSION EVENTS WERE AS
5 LOW AS 8 PERCENT. THEY'RE NOW MUCH HIGHER FOR US.

6 LAST YEAR WE HAD THE ENACTMENT OF THE 21ST
7 CENTURY CURES, WHICH WE WERE VERY HAPPY ABOUT AND
8 VERY PLEASED TO TAKE A STRONG ROLE SPECIFICALLY ON
9 THE STEM CELL SIDE OF IT. THIS YEAR WHAT WE'D LIKE
10 TO SEE IS WE'D LIKE TO SEE THE VALIDATION OF THAT.
11 WHAT WE'D LIKE TO SEE IS THE FIRST STEM CELL THERAPY
12 PUT INTO THE ACCELERATED APPROVAL PATHWAY, AND WE
13 THINK THAT IS POSSIBLE, AND WE THINK CIRM IS GOING
14 TO PLAY A BIG ROLE IN THAT.

15 WITH REGARDS TO MOVING THINGS ALONG
16 FASTER, ONE OF THE SURROGATE MILESTONES THAT WE USE
17 FOR REDUCING TRANSLATIONAL TIME -- SO TRANSLATIONAL
18 TIME, AGAIN, IS TAKING EIGHT YEARS, AND WE'RE TRYING
19 TO GET IT TO FOUR. THAT'S A LONG STRETCH OF TIME TO
20 MEASURE, PARTICULARLY WHEN YOU'RE TRYING TO SET
21 GOALS WITHIN A YEAR. SO WE LOOK FOR SURROGATES THAT
22 WE CAN DO THAT WITH.

23 ONE OF THEM THAT WE USE IS OUR ABILITY TO
24 HIT MILESTONES ON TIME. ALL OF OUR PROGRAMS NOW,
25 AND THE BOARD ASKS THIS A LOT OF US AND I WILL

1 REASSURE YOU EVERY TIME, ALL OF OUR PROGRAMS NOW
2 HAVE OPERATIONAL MILESTONES ASSOCIATED WITH THEM.
3 SO AN APPLICANT LAYS OUT THE WORK THAT THEY INTEND
4 TO HIT AND DO; AND AS THEY HIT THOSE MILESTONES, WE
5 THEN CONTINUE TO FUND THAT PROGRAM. IF THEY DON'T
6 HIT THOSE MILESTONES, WE DON'T FUND THE PROGRAM
7 UNTIL THEY DO. THIS HAS LED TO A DRAMATIC INCREASE
8 IN THE ABILITY FOR US TO HIT OUR MILESTONES ON TIME,
9 WHICH BEFORE THIS WAS BELOW 20 PERCENT AND IS NOW
10 WELL OVER 50 PERCENT.

11 SO WE HAVE GOALS CENTERED AROUND HITTING
12 IN THE TRANSLATION, WHICH IS THE MORE NEBULOUS AREA,
13 AT LEAST 60 PERCENT OF OUR OPERATIONAL MILESTONES
14 HIT ON TIME. AND CLINICAL HAS AN EVEN MORE
15 AGGRESSIVE GOAL. THEY WANT THEIR MEDIAN MILESTONE
16 TO BE HIT EARLY, WHICH IS PRETTY COOL.

17 IN ADDITION, 12 NEW CLINICAL TRIALS. WE
18 ADDED TEN LAST YEAR ALONE. WE'RE UPPING OUR GAME.
19 WE WANT TO HAVE 12 NEW CLINICAL TRIALS ADDED THIS
20 YEAR. AND THEN, LASTLY, WE WANT TO HAVE THREE OF
21 THOSE CLINICAL STAGE PROGRAMS FIND SOLVENT
22 COMMERCIAL PARTNERS THAT CAN HELP CARRY THE LOAD
23 FORWARD FINANCIALLY FOR US AS THEY GO INTO LATER
24 STAGES OF DEVELOPMENT.

25 IMPORTANT AND I WILL ALSO SAY BUILT INTO

1 ALL OF THIS CENTERS AROUND QUALITY. WE CANNOT DO
2 ANYTHING TO ACHIEVE THESE GOALS THAT LOWERS THE
3 QUALITY OF THESE PROGRAMS BECAUSE OUR ULTIMATE GOAL
4 CENTERS AROUND ACTUALLY HELPING PATIENTS. SO WE
5 BAKED INTO THESE THINGS SOME CHECKS ON QUALITY AS
6 WELL. SO THAT'S IN A REALLY BRIEF, CONDENSED
7 VERSION WHAT IT IS WE'RE DOING THIS YEAR.

8 NOW I'D LIKE TO TALK A LITTLE BIT ABOUT
9 HOW THINGS HAVE BEEN GOING SINCE WE IMPLEMENTED 2.0.
10 THIS IS THE CORE ENGINE OF 2.0. THESE ARE OUR
11 RECURRING PROGRAMS: DISCOVERY, TRANSLATIONAL, AND
12 CLINICAL. SO THE EARLIEST DISCOVERY GRANTS WE HAVE,
13 WE CALL THEM DISC1 OR INCEPTION GRANTS. TAKE FROM A
14 REAL HIGH RISK, HIGH REWARD IDEA, MOVE IT INTO LATER
15 STAGE DISCOVERY. WE CALL THAT CLEVERLY DISC2. ONCE
16 A CANDIDATE HAS BEEN FULLY DEVELOPED AND IDENTIFIED,
17 IT THEN MOVES TO TRANSLATIONAL RESEARCH. THAT WAS
18 THE TRANS GRANTS, TRAN1, 2, 3, AND 4, BECAUSE WE
19 LIKE COMPLEXITY. AND THEN, LASTLY, ONCE IT'S
20 COMPLETED TRANSLATIONAL RESEARCH, YOU'VE HAD YOUR
21 PRE-IND MEETING WITH THE FDA, YOU THEN ENTER OUR
22 CLINICAL STAGE. AND CLINICAL STAGE IS IND ENABLING
23 AND THEN ULTIMATELY INTO CLINICAL TRIALS.

24 SO WE OFFER DISCOVERY PROGRAMS TWICE A
25 YEAR, TRANSLATIONAL PROGRAMS NOW THREE TIMES A YEAR,

1 AND CLINICAL PROGRAMS ACTUALLY EVERY SINGLE MONTH.
2 A LOT FASTER FREQUENCY, A LOT FASTER TURNAROUND
3 TIME.

4 SO I'M GOING TO GO THROUGH THESE STARTING
5 WITH THE DISC1, THE INCEPTION GRANT, AND SHOW YOU
6 HOW THIS HAS BEEN WORKING SINCE WE STARTED.

7 SO FOR DISC1 WE HAVE HAD ONE ROUND THAT'S
8 ACTUALLY BEEN COMPLETED. WE HAVE ONE ROUND THAT'S
9 STILL IN PROCESS. SO THAT WILL HELP YOU RECONCILE
10 SOME OF THESE NUMBERS. BUT IN BOTH CASES WE'VE
11 RECEIVED ALL THE APPLICATIONS WE'RE GOING TO GET
12 FROM TWO ROUNDS. SO 173 APPLICATIONS RECEIVED, 172
13 OF THOSE HAVE PASSED ELIGIBILITY. YOU WILL SEE THIS
14 IS NOT A COMMON THEME AS WE GO INTO A MORE
15 COMPLICATED SERIES OF APPLICATIONS. SO ALMOST
16 EVERYBODY IS PASSING ELIGIBILITY, BUT THAT'S BECAUSE
17 THE ELIGIBILITY CRITERIA FOR THESE ARE WIDE OPEN.
18 THESE ARE REALLY UNDEFINED, NOVEL, BIG IDEAS. SO
19 IT'S HARDER TO FAIL ELIGIBILITY HERE.

20 WE THEN USE SOMETHING CALLED POSITIVE
21 SELECTION WHERE THE GWG MEMBERS, THE PATIENT
22 ADVOCATES ON THE GWG THEN PICK. THEY REVIEW ALL OF
23 THE APPLICATIONS AND THEY PICK WHICH ONES THEY WANT
24 TO BE CONSIDERED AT THE GWG MEETING. SIXTY OF 101.
25 WE STILL HAVE 71 PENDING IN THE NEW ROUND, BUT THE

1 FIRST WAVE OF APPLICATIONS AT 101. SIXTY OF THOSE
2 WERE PICKED FOR POSITIVE SELECTION FOR COMPLETE
3 REVIEW. SO ABOUT 60 PERCENT. OUT OF THOSE
4 APPLICATIONS THAT WERE ULTIMATELY REVIEWED BY THE
5 GWG FURTHER, 18 OF THOSE, AGAIN, 18 OUT OF 101, WERE
6 ULTIMATELY RECOMMENDED FOR APPROVAL. SO THIS IS 30
7 PERCENT OF EVERYTHING THE GWG IS SEEING OR 18
8 PERCENT OF ALL OF THE APPLICATIONS THAT WE'RE
9 RECEIVING. THIS IS IN DISC1.

10 WE GO ONE STEP DOWN THE LINE TO DISC2, THE
11 LARGER DISCOVERY STAGE AWARD. IN THIS WE'VE HAD TWO
12 COMPLETED ROUNDS, AND WE HAVE A THIRD ROUND THAT'S
13 CURRENTLY IN PROCESS. SO WE HAVE 209 APPLICATIONS.
14 NINETY-SEVEN PERCENT OF THOSE HAVE MET THE
15 ELIGIBILITY CRITERIA, SO 141. AGAIN, WE'RE
16 MIDSTREAM HERE, SO WE HAVE 63 PENDING. OUT OF THE
17 141, 78 PERCENT WERE PICKED FOR POSITIVE SELECTION,
18 WHICH IS 55 PERCENT. AND OUT OF THOSE, 19
19 ULTIMATELY WENT ON AND WERE RECOMMENDED FOR FUNDING,
20 WHICH IS 13 PERCENT OF ALL THE APPLICATIONS THAT
21 WE'VE RECEIVED. THIS IS OUR MOST COMPETITIVE OF THE
22 AWARDS WITH A 13-PERCENT APPROVAL RATING OF ALL
23 APPLICATIONS RECEIVED.

24 DR. DEAS: ON THE EARLIEST STAGE OF
25 DISCOVERY YOU MENTIONED ABOUT HAVING THOSE

1 APPLICATIONS IN THE FIRST ROUND AND HAVING ENOUGH
2 FOR THE SECOND ROUND ALREADY IN THE POOL. DOES THAT
3 MEAN THAT YOU DON'T OPEN UP FOR NEW APPLICATIONS TO
4 COME IN?

5 DR. MILLS: I'M NOT SURE I COMPLETELY
6 UNDERSTAND THE QUESTION. SO THIS IS THE FIRST ONE.
7 WE HAVE ONE COMPLETED ROUND AND WE HAVE ONE ROUND IN
8 PROCESS. THESE WILL NOW BE -- THESE ARE RECURRING,
9 SO THESE WILL NOW BE OCCURRING ABOUT EVERY SIX
10 MONTHS. WE'RE DOING THESE TWICE A YEAR. THAT IS A
11 CHANGE. WHEN WE IMPLEMENTED THE PROGRAM, WE WERE
12 ONLY DOING IT ONCE A YEAR. WHAT WE FOUND WAS
13 THERE'S PRETTY GOOD DEMAND HERE. WE HAD TWO ROUNDS,
14 173 APPLICATIONS IN JUST TWO ROUNDS. SO WE WANT TO
15 GO TO A LITTLE BIT MORE FREQUENT CYCLE, EVERY SIX
16 MONTHS, GET FRESH IDEAS IN, GET THEM TURNED OVER AND
17 MOVED OUT.

18 DR. DEAS: THAT WAS THE QUESTION. I WAS
19 WORRIED THAT WE WOULD MISS SOME OF THE FRESH IDEAS.

20 DR. MILLS: WE'RE SPEEDING UP BECAUSE OF
21 THAT.

22 DR. DEAS: GREAT. THANKS.

23 DR. GASSON: RANDY, COULD YOU SAY A LITTLE
24 BIT MORE ABOUT THE PROCESS OF POSITIVE SELECTION?

25 DR. MILLS: NO.

1 DR. GASSON: OKAY. NEVER MIND.

2 DR. MILLS: POSITIVE SELECTION WAS
3 SOMETHING THAT WE IMPLEMENTED AS A REPLACEMENT TO
4 WHEN I WAS A GWG MEMBER -- SO I USED TO BE A GWG
5 MEMBER AND ACTUALLY DO THESE REVIEWS -- FROM WHAT WE
6 HAD BACK THEN WHICH WAS THE PREAPP. AND THE PREAPP
7 PROCESS WAS YOU WOULD SUBMIT A FEW LETTERS. IT
8 WOULD TAKE MONTHS AND MONTHS TO GO THROUGH THESE
9 THREE TO FIVE PAGES. OUT OF THOSE, PEOPLE WERE THEN
10 SELECTED TO COME BACK AND REAPPLY. AND BY THE TIME
11 THAT HAPPENED, THE ORIGINAL DATA WAS USUALLY OUT OF
12 DATE. AND IT ENDED UP BEING A TIME-CONSUMING
13 PROCESS THAT PUT THE ACTUAL APPLICATION VERY FAR
14 DISLOCATED IN TIME FROM THE ORIGINAL CALL.

15 HERE, BECAUSE WE'RE DOING THESE SO
16 FREQUENTLY, WE CAME UP WITH THE CONCEPT OF POSITIVE
17 SELECTION. WHAT THAT IS IS EVERYONE THAT WANTS TO
18 APPLY APPLIES. EVERY APPLICATION IS REVIEWED. ALL
19 OF THE MEMBERS OF THE GWG HAVE THE ABILITY TO SELECT
20 APPLICATIONS THEY WANT REVIEWED. SO ALL THE
21 APPLICATIONS ARE REVIEWED, AND ONLY THOSE THAT THE
22 GWG AND PATIENT ADVOCATE MEMBERS THINK ARE SERIOUS
23 AND WORTH CONSIDERING GO ON TO A FULL GWG PANEL FOR
24 REVIEW. AND WE ONLY USE THIS IN THE LARGE VOLUME
25 PROCESSES. SO DISC1 AND DISC2 ARE ACTUALLY THE ONLY

1 TWO AWARDS THAT WE USE THIS PROCESS IN. AND IT'S
2 POSITIVELY SELECTING BETWEEN 55 AND 60 PERCENT OF
3 THE APPLICATIONS.

4 DR. GASSON: THANK YOU.

5 DR. MILLS: SO THAT'S DISC2, OUR MOST
6 COMPETITIVE. THEN WE MOVE ON TO TRANSLATIONAL
7 RESEARCH. HERE THE NUMBERS COME DOWN. BECAUSE THE
8 NUMBERS COME DOWN, WE DON'T HAVE TO USE POSITIVE
9 SELECTION. WE CAN JUST REVIEW ALL OF THE
10 APPLICATIONS. WHEN I SAY REVIEW, I MEAN COMPLETELY
11 AND FULLY VET THEM AT THE GWG. SO THIS IS FROM
12 THREE COMPLETED ROUNDS, ALTHOUGH YOU HAVE ONE OF
13 THEM THAT'S GOING TO BE COMING BEFORE YOU, BUT THIS
14 PROCESS HAS BEEN COMPLETED ON THREE SEPARATE ROUNDS.
15 WE OFFER THIS AWARD TYPE NOW THREE TIMES A YEAR.
16 AGAIN, THAT'S AN ACCELERATION. WHEN WE STARTED, IT
17 WAS ONLY TWICE A YEAR, BUT WE OFFER IT NOW THREE
18 TIMES A YEAR.

19 AND I WILL SAY ONE OF THE THINGS ABOUT
20 INCREASING THOSE PROGRAM OFFERINGS TO THREE TIMES A
21 YEAR AND TWO TIMES A YEAR, IF YOU DON'T GET A GREAT
22 SCORE IN THIS, YOU GET YOUR FEEDBACK JUST IN TIME TO
23 AMEND YOUR APPLICATION AND THEN REAPPLY. SO IT'S
24 ACTUALLY ELIMINATED THE NEED FOR THE APPEALS PROCESS
25 BECAUSE YOU CAN ACTUALLY BE IN THE NEXT CYCLE WITH

1 AN IMPROVED APPLICATION FASTER THAN YOU CAN HAVE
2 YOUR OLD APPLICATION ACTUALLY APPEALED. JAMES IS
3 VERY HAPPY ABOUT THAT.

4 DR. DIXON: THAT'S REALLY TERRIFIC.

5 DR. MILLS: SO 85 APPLICATIONS RECEIVED
6 FOR TRANSLATIONAL. SIXTY-ONE OF THOSE PASSED
7 ELIGIBILITY. SO HERE THE ELIGIBILITY CRITERIA IS
8 MORE CONCRETE. IT'S MORE VERIFIABLE. THIS PROGRAM
9 ISN'T AS NEBULOUS AND GRAND IDEA. WE'RE LOOKING FOR
10 MORE SPECIFIC THINGS. SO YOU SEE A REDUCTION IN THE
11 AMOUNT THAT ARE PASSING ELIGIBILITY. SO WE HAVE 61
12 THAT THE GWG HAS ISSUED A FINAL DISPOSITION ON. OUT
13 OF THOSE, 14 HAVE BEEN RECOMMENDED FOR FUNDING,
14 WHICH IS, IF YOU LOOK AT ALL THE APPLICATIONS WE
15 RECEIVE, INCLUDING THOSE THROWN OUT FOR ELIGIBILITY,
16 IT'S ABOUT 16 PERCENT. SO YOU CAN SEE AS WE MOVE
17 THROUGH THIS, WE'RE BETWEEN 15 AND 20 PERCENT IN
18 THESE EARLY STAGE GRANTS, WHICH ISN'T THAT
19 DISSIMILAR FROM ABOUT 20 PERCENT THAT YOU WOULD SEE
20 FROM NIH.

21 THEN WE MOVE TO OUR LAST STAGE, OUR
22 CLINICAL STAGE OF AWARDS. WE OFFER THESE
23 CONTINUOUSLY. SO THIS IS EVERY SINGLE MONTH WE'RE
24 TAKING IN APPLICATIONS, WE'RE DOING REVIEWS. OUR
25 TURNAROUND TIME ON THIS IS FROM THE TIME WE RECEIVE

1 AN APPLICATION TO THE TIME THE GWG MAKES A
2 DISPOSITION ON IT IS LESS THAN 60 DAYS NOW. SO THIS
3 HAS BECOME A VERY EFFICIENT PROCESS. THESE ARE
4 BIGGER APPLICATIONS, THEY'RE MEATIER APPLICATIONS,
5 THE BUDGETS IN THESE ARE MUCH, MUCH LARGER. THIS IS
6 A VERY LABOR INTENSE PROCESS TO DO THIS. WITH THAT
7 SAID, 74 CLINICAL STAGE APPLICATIONS WE'VE RECEIVED.
8 OUT OF THOSE, 50 PERCENT OR 72 HAVE BEEN ELIGIBLE.
9 AGAIN, THE ELIGIBILITY CRITERIA HERE IS FAR MORE
10 OBJECTIVE. IF YOU HAVEN'T HAD YOUR PRE-IND MEETING,
11 YOU'RE NOT ELIGIBLE FOR A CLIN1. IF YOU DON'T HAVE
12 AN ACTIVE CLINICAL TRIAL WITH AN IND APPROVED BY
13 FDA, YOU'RE NOT ELIGIBLE. SO WE HAVE MORE OBJECTIVE
14 CRITERIA HERE. AND SO WE HAVE AN APPLICATION
15 PASSING ELIGIBILITY RATE OF 72 PERCENT. OUT OF
16 THOSE, 50 THAT HAVE PASSED ELIGIBILITY. WE HAVE 48
17 WHERE WE HAVE FINAL DISPOSITIONS ON. WE HAVE FIVE
18 THAT ARE UNDER REVIEW. AND THEN WHEN YOU LOOK DOWN
19 AND SEE WHAT WE'VE ACTUALLY RECOMMENDED FOR FUNDING,
20 WE HAVE 22 THAT HAVE GONE ON RECOMMENDED FOR
21 FUNDING, WHICH IS 30 PERCENT OF OUR APPLICATIONS
22 RECEIVED. SO THE CLINICAL STAGE COMES UP.

23 NOW, THIS IS BEING BALANCED, IT'S SORT OF
24 INTERESTING AS YOU GO THROUGH THIS DATA, THIS DATA
25 I'VE SHOWN YOU BEFORE. AND YOU MAY NOT REMEMBER HOW

1 IT'S MOVED. BUT I'LL TELL YOU HOW IT'S MOVED
2 BECAUSE IT'S KIND OF INTERESTING.

3 THE APPLICATIONS PASSING ELIGIBILITY SINCE
4 THE LAST TIME I SHOWED YOU THIS DATA, WHICH WAS IN
5 SEPTEMBER, HAS ACTUALLY FALLEN FROM ABOUT 80 PERCENT
6 TO 72 PERCENT. AND THAT HAS TO DO WITH WE'RE BEING
7 MORE CLEAR UPFRONT WITH WHAT WE WANT. AND SO WE CAN
8 MAKE ELIGIBILITY, WHICH IS A BLACK-AND-WHITE
9 DETERMINATION THAT WE CAN MAKE PRETTY QUICKLY, WE'RE
10 ABLE TO MAKE THAT. SO THAT 80 PERCENT HAS FALLEN TO
11 72 PERCENT. BUT IF YOU LOOK OVER THE TIME COURSE,
12 IT'S BEEN A MUCH HIGHER INCREASE IN APPLICATIONS
13 FAILING ELIGIBILITY, WHICH HAS BEEN COMPLETELY
14 OFFSET BY THOSE THAT ARE BEING APPROVED. SO OUR
15 APPROVAL RATING FOR APPLICATIONS ONCE THEY PASSED
16 ELIGIBILITY IS GOING UP WHILE OUR SCREENING PASSING
17 IS GOING DOWN. THAT'S ACTUALLY PRETTY EFFICIENT.
18 WE WOULD MUCH RATHER HAVE THE GWG SPEND THE TIME AND
19 FOCUS THESE APPLICATIONS, WHICH TAKE AN HOUR OR TWO
20 EACH TO REVIEW, WE WOULD LIKE THEM TO BE FOCUSING
21 THEIR TIME AND EFFORT ON THOSE APPLICATIONS THAT
22 HAVE PASSED ELIGIBILITY AND THAT HAVE A CHANCE OF
23 BEING FUNDED. SO WE'RE DOING A BETTER JOB OF
24 SCREENING ON THE FRONT END, WHICH IS GIVING 44
25 PERCENT OF ALL THOSE THAT THE GWG ADJUDICATES THEY

1 APPROVED HERE, BUT THE 30 PERCENT HASN'T CHANGED.
2 SO OUT OF ALL THE APPLICATIONS WE RECEIVE, OUR
3 APPROVAL RATING IS THE SAME. IT'S JUST THAT THE GWG
4 IS REVIEWING A HIGHER QUALITY OF APPLICATION.

5 DR. FEDEROFF: SO AMONG THE
6 APPLICATIONS -- YOU MENTIONED EARLIER THAT THESE ARE
7 BEING GATED BY THE PRE-IND DISCUSSIONS WITH THE FDA.
8 IN THE APPLICATIONS THEMSELVES AS THEY ARE CERTAIN
9 TO BE ADDRESSING SAFETY AND TOLERABILITY IN PHASE 1,
10 HOW MANY ALSO ARE PROPOSING TO BRIDGE TO A PHASE 2
11 AT THE APPLICATION STAGE? AND IS THAT ANALYSIS WITH
12 REGARD TO REVIEW A DETERMINANT WITH REGARD TO THEIR
13 PRIORITIZATION?

14 DR. MILLS: OKAY. THIS IS A GOOD QUESTION
15 BECAUSE IT TALKS ABOUT ACTUALLY A PRETTY SIGNIFICANT
16 CHANGE WE MADE, BUT WE DIDN'T TALK ABOUT A LOT WHEN
17 WE WENT FROM 1.0 TO 2.0. SO UNDER OUR 1.0 AWARDS,
18 WE WOULD HAVE AWARDS THAT WOULD COVER LARGE SPANS OF
19 RESEARCH. SO LITERALLY FROM LATE STAGE
20 TRANSLATIONAL THROUGH IND-ENABLING WORK, SO POST
21 PRE-IND MEETING, BUT BEFORE THE IND, ALL THE WAY
22 INTO A CLINICAL TRIAL. A LITTLE BIT OF ONE CLINICAL
23 TRIAL INTO A SECOND.

24 WE'VE NOW TAKEN THAT OUT BECAUSE WE OFFER
25 THESE PROGRAMS WITH SUCH HIGH FREQUENCY,

1 CONTINUOUSLY, WE'VE NOW JUST BUCKETED ALL OF THEM
2 INTO DISCRETE AREAS. SO THE FIRST AWARD IN THE
3 CLINICAL STAGE, CLIN1, IS GATED BY YOU'VE HAD A
4 PRE-IND MEETING, WHICH MAKES YOU ELIGIBLE, BUT YOU
5 DON'T YET HAVE YOUR IND. SO THAT AWARD ONLY COVERS
6 GETTING YOUR IND.

7 THEN A CLIN2 AWARD IS FOR A PHASE 1
8 CLINICAL TRIAL AND ONLY THE PHASE I CLINICAL TRIAL,
9 AND THEN PHASE 2 AND 3 AND SO FORTH. BECAUSE THEY
10 WORK SO QUICKLY, THERE'S SUFFICIENT OVERLAP THAT
11 THERE'S NOT A GAP TIME BETWEEN THERE, BUT WHAT WE
12 DON'T DO IS WE DON'T MAKE AWARDS THAT COVER YOUR
13 IND-ENABLING RESEARCH AND YOUR PHASE 1. WE
14 REEVALUATE THEM EVERY TIME.

15 DR. DIXON: RANDY, THIS IS JACK DIXON. I
16 HAVE A QUESTION, IF I MIGHT ASK. THE ONE THING -- I
17 THINK YOUR CONTINUOUS REVIEW PROCESS IS REALLY
18 SOMETHING TO BE COMMENDED. BUT THE QUESTION REALLY
19 IS ARE YOU WEARING OUT YOUR REVIEWERS? IN OTHER
20 WORDS, ARE YOU EXPERIENCING ANY REVIEWER FATIGUE,
21 NOT BEING ABLE TO GET THE BEST PEOPLE TO BASICALLY
22 REVIEW THE APPLICANTS, ETC., ETC.? MAYBE YOU COULD
23 COMMENT ON THAT IN A GENERAL WAY.

24 DR. MILLS: IT'S A GREAT QUESTION. IF YOU
25 LOOK DOWN ON THE KEY TAKEAWAYS, IT'S MY SECOND

1 BULLET POINT, IT'S NOT JUST THE REVIEWERS. SO WE
2 HAVE A GWG PANEL THAT'S MADE UP OF 15 EXTERNAL
3 EXPERTS PLUS WE BRING IN THE AD HOC EXPERTS FOR THE
4 DIFFERENT DISEASES. FOR ANY DIFFERENT REVIEW, WE
5 MIGHT BE USING 20, 23 EXTERNAL EXPERTS AND ALL SEVEN
6 OF OUR PATIENT ADVOCATE MEMBERS OF THE GWG WHO THEN
7 HAVE TO GO ON, AS MANY OF YOU DO, AND HOLD
8 APPLICATION REVIEW SUBCOMMITTEE MEETINGS. SO FOR
9 THOSE BOARD MEMBERS THAT DON'T ATTEND THE
10 APPLICATION REVIEW, THERE'S ONE HELD EVERY SINGLE
11 MONTH TO REVIEW AND ULTIMATELY APPROVE.

12 AND SO WE ARE DEALING RIGHT NOW WITH A
13 VERY HIGH WORKLOAD. I WILL TELL YOU THERE WAS A GWG
14 MEMBER FOR THIS, ONE OF THE THINGS THAT'S CHANGED
15 THAT I THINK DOES HELP WITH THAT FATIGUE IS, OUT OF
16 THE GWG MEETINGS WE HAVE, WE'LL HOLD IN ANY GIVEN
17 YEAR 12, AND LAST YEAR WE ACTUALLY DOUBLED UP ONE,
18 SO WE ACTUALLY HELD 11. ONE OF THOSE IS IN PERSON
19 HERE IN CALIFORNIA. WE DO THAT SO THE TEAM CAN GET
20 AROUND THE TABLE AND WE CAN SPEND MORE TIME TALKING
21 ABOUT OUR MISSION AND REALLY EXPLAIN AND CALIBRATE
22 AND MAKE SURE WE'RE ON THE SAME PAGE. THE REST OF
23 THEM NOW ARE TELEPHONIC. BECAUSE THE REST OF THEM
24 ARE TELEPHONIC, IT'S A LOT LESS BURDEN ON THE
25 REVIEWERS.

1 I KNOW, FOR ME, WE WOULD COME OUT,
2 BASICALLY LOSE A WEEK, BE FLYING FROM THE EAST COAST
3 TO THE WEST COAST, SPEND A FEW DAYS OUT THERE, FLY
4 BACK, DO THAT THREE TIMES A YEAR, AND IT WAS A LOT
5 TO ASK. SO WHILE THEY'RE DOING MORE, THEY'RE DOING
6 IT, WE THINK, IN A MORE EFFICIENT WAY. BUT THIS IS
7 SOMETHING THAT GIL AND BECKY AND THE REST OF THE
8 REVIEW TEAM KEEP A PRETTY GOOD EYE ON THE REVIEWERS.
9 AND WE DO ADD NEW REVIEWERS INTO THE PROCESS AND
10 ROTATE OTHERS OUT, SO WE MAKE SURE WE'RE NOT BURNING
11 THEM OUT.

12 MS. LANSING: I JUST REALLY WANT TO
13 COMMEND YOU. I WATCHED THIS PROCESS EVOLVE OVER A
14 DECADE, AND I THINK WHAT YOU HAVE DONE IS JUST
15 EXTRAORDINARY. AND I ALSO WANT TO SECOND WHAT YOU
16 SAID ABOUT THE REVIEWERS. EVEN THOUGH THE INTENSITY
17 OF THE WORK IS THE SAME, THEY'RE GAINING AT LEAST
18 TWO FULL DAYS, ONE ON EACH END FOR TRAVEL. SO I
19 WANT TO REEMPHASIZE THAT AND JUST COMMEND YOU.
20 EVERYTHING THAT I THINK YOU'RE DOING IN HAVING THIS
21 BE CONSTANT EVALUATION OPENS US UP TO GET THE BEST
22 SCIENCE. SO THIS IS JUST REALLY CONGRATULATIONS.

23 DR. MILLS: THANK YOU VERY MUCH. I DO
24 REALLY WANT TO POINT OUT THAT IT IS ALL ME. THAT'S
25 A JOKE. THE JOKE IS --

1 MS. LANSING: YOU WERE SPEAKING ON BEHALF
2 OF THIS EXTRAORDINARY TEAM.

3 DR. MILLS: I AM. AND IT IS AN
4 EXTRAORDINARY TEAM. WE HAVE TALKED. WE HAD A VERY
5 GOOD YEAR LAST YEAR, AND YOU GUYS WILL SEE THE
6 BOARDS HERE THAT HAVE THE SCOREBOARDS THAT WE USE.
7 THIS TEAM IS ABSOLUTELY PHENOMENAL.

8 CHAIRMAN THOMAS: RANDY, I JUST WANTED TO
9 ADD. FOR THE BENEFIT OF THE BOARD, RANDY'S ALLUDED
10 TO THIS IN THE PAST, BUT JUST TO REITERATE, ONE OF
11 THE GREAT THINGS THAT HE AND THE TEAM ARE DOING IS
12 THEY CONTINUE TO EVALUATE WHETHER THE LATEST VERSION
13 OF WHAT WE DO IS THE BEST. SO AS RANDY IS FOND OF
14 POINTING OUT, CIRM 2.0 NOW IS REALLY 2.8 AND
15 INCREASING. SO AS TIME GOES BY, THEY CONTINUE TO
16 MAKE ADJUSTMENTS, CONTINUE TO MAKE THE PROCESS MORE
17 EFFICIENT AND BETTER, AND I THINK YOU'RE SEEING THE
18 RESULTS OF THAT IN WHAT HE IS SAYING HERE AND HAS
19 SAID IN THE PAST. SO CONGRATULATIONS TO RANDY AND
20 ALL THE TEAM FOR THAT.

21 DR. MILLS: OKAY. SO THE LAST BULLET
22 POINT ON THE KEY TAKEAWAYS HERE, 74 APPLICATIONS
23 HAVE YIELDED US 22 APPROVALS. LOOKING THAT WE NEED
24 THAT NUMBER TO GO TO 50 OR ACTUALLY IT'S A LITTLE
25 HIGHER BECAUSE CIRM 2.0 PREDATES THE STRATEGIC PLAN,

1 WE STILL NEED TO BE RUNNING THIS AT VERY HIGH
2 VOLUMES. BECAUSE IF WE'RE NOT RUNNING THEM AT HIGH
3 VOLUMES, WE WOULD THEN LOWER OUR QUALITY STANDARDS,
4 AND WE WILL NOT LOWER OUR QUALITY STANDARDS.

5 NOW, THIS IS LIKE EATING YOUR VEGETABLES,
6 BUT WE GOT TO DO IT, GO THROUGH SOME BUDGET
7 COMMENTS. I'LL START WITH A HIGH LEVEL. WE HAVE
8 SOME NEW BOARD MEMBERS. I'D JUST LIKE TO TALK ABOUT
9 THIS IN LESS SOPHISTICATED, BUT VERY CLEAR TERMS
10 BECAUSE I THINK IT'S AN IMPORTANT THING THAT
11 EVERYONE UNDERSTANDS.

12 AT CIRM WE TALK ABOUT THE \$3 BILLION STEM
13 CELL AGENCY. ITS FUNDING REALLY EXISTS IN TWO
14 DISCRETE BUCKETS. ONE OF THEM WE CALL THE BIG
15 BUCKET HAS \$2.75 BILLION PUT IN IT INITIALLY. THAT
16 IS THE AWARD BUCKET. SO WHEN WE APPROVE GRANTS, IT
17 COMES OUT OF THE AWARD BUCKET. WE ALSO HAD 180
18 MILLION OF THAT 3 BILLION SPLIT OFF THAT WENT TO THE
19 ADMINISTRATIVE BUCKET. THIS IS THE MONEY THAT WE
20 USE TO FUND THE CIRM TEAM, THE BUILDING, THE REVIEW
21 PROCESSES, AND ALL OF THE OTHER. SO THESE ARE TWO
22 DISTINCT BUCKETS.

23 KEY POINT HERE IS WHEN EITHER ONE OF THESE
24 BUCKETS GOES TO ZERO, CIRM IS OVER. AND SO WHAT
25 WE'RE DOING IS WE'RE DOING EVERYTHING WE CAN TO

1 ALIGN THESE TWO BUCKETS SO THAT THEY GO TO ZERO AT
2 THE SAME TIME. BECAUSE IT WOULD BE A SHAME TO HAVE
3 MONEY LEFT OVER IN ONE AND NOT THE OTHER. THAT'S
4 WHERE JUNE OF 2020 COMES IS WHEN WE ANTICIPATE THE
5 AWARD BUCKET WILL HAVE THE LAST AWARD DOLLARS
6 ISSUED. THE ADMINISTRATIVE BUCKET OBVIOUSLY GOES ON
7 A LITTLE FURTHER FOR THE ADMINISTRATION OF THOSE
8 AWARDS AND THINGS LIKE THAT.

9 HOW ARE WE DOING? OUT OF THE
10 ADMINISTRATIVE BUCKET, THE LITTLE BUCKET, WE'VE
11 SPENT 123 MILLION. AGAIN, THIS IS SINCE OUR
12 INCEPTION. KEEP IN MIND THIS IS AN ORGANIZATION
13 THAT WAS ORIGINALLY DESIGNED WITH THIS BUCKET TO BE
14 ABLE TO TAKE US TO 2014 OR TEN YEARS AFTER WE
15 STARTED, MAYBE 2016. SO WE'RE ACTUALLY STRETCHING
16 THIS OUT TO MAKE IT GO ALL THE WAY THROUGH 2020. WE
17 HAVE 57 MILLION REMAINING AT A SPEND RATE RIGHT NOW
18 OF ABOUT 15 MILLION PER YEAR OUT OF THIS. OBVIOUSLY
19 AS WE GET CLOSER TO THE END, THERE WOULD BE
20 ACTIVITIES THAT WE WOULDN'T NEED TO DO, THE BURN
21 RATE COMES DOWN A LITTLE BIT AND LIFE GOES ON A
22 LITTLE LONGER.

23 FISCAL RESPONSIBILITY HERE IS VERY
24 IMPORTANT. BUT IT IS -- SHE'S NOT HERE, CHILA, WHO
25 MANAGES FINANCING IS NOT HERE SO WE CAN TALK ABOUT

1 HER. SHE DOES A GREAT JOB, HER AND HER TEAM DO A
2 GREAT JOB MANAGING THIS, AND WE NEVER EVER, EVER GO
3 OVER BUDGET.

4 THE BIG BUCKET IS A LOT MORE COMPLEX THAN
5 THE LITTLE BUCKET BECAUSE THE LITTLE BUCKET IS
6 REALLY A ONE-WAY STREET. THAT MONEY COMES OUT OF
7 THAT AND IT STAYS OUT OF THAT. THE AWARD BUCKET IS
8 A LITTLE DIFFERENT, AND IT WAS MADE A LOT DIFFERENT
9 BY US IMPLEMENTING THE MILESTONE STRUCTURE THAT WE
10 CURRENTLY HAVE. AND SO THIS IS THE 2016 ACTUALS. I
11 ACTUALLY SHOWED YOU THIS AT THE DECEMBER MEETING.
12 IT WAS AN APPROXIMATION OF WHAT WE THOUGHT IT WOULD
13 END UP WITH. IT CHANGED BY A TINY AMOUNT, \$3
14 MILLION. BUT THE WAY THIS WORKS IS WE MAKE AWARDS.
15 SO IN 2016 WE MADE \$259 MILLION IN NEW AWARDS. SO
16 THAT MONEY WENT FROM THAT WE CALL UNCOMMITTED BIG
17 BUCKET MONEY TO COMMITTED. THE AWARD WAS MADE. BUT
18 BECAUSE WE HAVE THAT MILESTONE STRUCTURE, WE DON'T
19 PAY THAT OUT ALL AT ONCE. WE DIDN'T WRITE \$259
20 MILLION IN CHECKS LAST YEAR. WE, INSTEAD, SET UP
21 THE MILESTONE SYSTEM. WHEN PEOPLE DON'T HIT THE
22 MILESTONE OR AS PROGRAMS FAIL, AS IN BIOTECH AND
23 CELL THERAPY YOU WOULD EXPECT PROGRAMS AT A CERTAIN
24 RATE TO FAIL, THAT MONEY THEN IS TAKEN BACK, AND
25 IT'S MOVED FROM THE COMMITTED BACK TO THE

1 UNCOMMITTED BUCKET SO IT CAN BE REDEPLOYED.

2 SO \$259 MILLION WE COMMITTED LAST YEAR.

3 WE HAD REDUCTIONS AND REPAYMENTS OF 30 MILLION. SO
4 OUR NET WAS 229. WHY THAT'S IMPORTANT IS WE HAVE
5 \$539 MILLION REMAINING IN THE AWARD BUCKET; BUT OUT
6 OF THAT, WE PLAN TO ISSUE BETWEEN NOW AND MID-2020
7 ABOUT \$659 MILLION IN NEW AWARDS. THAT'S BECAUSE
8 THE CYCLE COMES OUT, AND WE HAVE MODELING. THE
9 MODELS THAT WE USE ARE ACTUALLY FAR MORE COMPLEX
10 THAN THIS TO EVALUATE THAT, BUT IT'S ABOUT 695
11 MILLION THAT WE'LL BE ABLE TO MAKE IN NEW AWARDS.
12 SO THAT'S GOOD. THAT'S STILL ON TRACK AND WHAT WE
13 WOULD EXPECT. QUESTIONS ABOUT THE BUDGET?

14 AND THEN THE LAST THING, THIS IS JUST A
15 YAY, GO US, IS LAST YEAR WE IN ONE YEAR CAME UP WITH
16 THE CONCEPT PLAN FOR ACCELERATING AND TRANSLATING
17 CENTERS. SO THESE WERE TWO SPECIFIC CENTERS
18 DESIGNED TO ADDRESS AND REALLY GO AFTER THAT GAP IN
19 TRANSLATIONAL TIME RIGHT NOW. THAT'S EIGHT YEARS
20 FOR US AND 3.2 YEARS FOR EVERYONE ELSE, AND WE
21 LOOKED AT WHY SOME OF THOSE REASONS WERE. AND IT
22 CENTERED AROUND THAT THERE WEREN'T A LOT OF
23 EXPERTISE SPECIFICALLY IN CELL THERAPY, FDA-REQUIRED
24 TRANSLATIONAL RESEARCH. THERE I DON'T MEAN THE
25 INTERESTING ANIMAL STUDIES THAT SHOW EFFICACY OR

1 MECHANISM OF ACTION. INSTEAD, I'M TALKING ABOUT THE
2 BORING STUFF LIKE STABILITY STUDIES AND TOX STUDIES,
3 AND ALL OF THE THINGS THAT THE FDA REQUIRES THAT AN
4 INVESTIGATOR MIGHT NOT BE THAT INTERESTED IN.

5 SO WE THOUGHT WE WOULD HAVE A TRANSLATING
6 CENTER THAT WOULD DO THAT KIND OF RESEARCH. IN
7 ADDITION, WE WANTED A STEM CELL-SPECIFIC CRO THAT
8 COULD GET GOOD AT WORKING SPECIFICALLY WITH THE
9 CENTER FOR BIOLOGICS AT FDA ON IND COMPILING AND
10 FILING AND APPROVALS SO WE COULD HELP GET OUR
11 TRANSLATIONAL PROGRAMS TO THE STAGE WHERE THE FDA
12 HAS APPROVED THEM TO ENTER CLINICAL TRIALS. AND
13 THAT WAS THE ACCELERATING CENTER. SO WE PUT UP BOTH
14 OF THESE CONCEPTS LAST YEAR, HELD REVIEWS FOR BOTH
15 OF THESE CONCEPTS, AND WE ACTUALLY GOT BOTH OF THEM
16 AWARDED. AND GABE AND THE GRANTS MANAGEMENT TEAM ON
17 DECEMBER 28TH GOT THE FINAL CENTER CONTRACTED AND UP
18 AND RUNNING, AND IT'S WORKING VERY WELL. BECAUSE
19 BOTH AWARDS WENT TO QUINTILES, THEY HAVE RENAMED THE
20 CENTER TO, CLEVERLY, THE STEM CELL CENTER, MARKETING
21 GENIUSES. SO NOW THAT CENTER IS OPERATING AS ONE.

22 THEY ALREADY HAVE FIVE PROGRAMS UP AND
23 RUNNING, INCLUDING THREE THAT ARE 1.0 PROGRAMS.
24 THAT'S A REALLY, REALLY EXCELLENT THING BECAUSE
25 THEY'RE HELPING EARLIER STAGE PROGRAMS THAT HAVE

1 BEEN MIRED DOWN A LITTLE BIT IN THIS PROCESS TO GET
2 BACK ON TRACK AND MOVE ALONG. THEY ALSO HAVE LIKE
3 TEN DIFFERENT, WE CALL THEM, HUNTING ACTIVITIES.
4 THEY HAVE TEN DIFFERENT ORGANIZATIONS THAT THEY'RE
5 WORKING WITH TO HELP BRING INTO CIRM, HELP WITH
6 THEIR APPLICATIONS, ATTRACT THE BEST PROGRAMS.
7 THEY'RE BASICALLY NOW GOING OUT AND ADVERTISING ON
8 CIRM'S BEHALF THE DIFFERENT PROGRAMS THAT WE OFFER,
9 AND THEY'RE HELPING THESE PEOPLE GET FAMILIAR WITH
10 CIRM'S PROGRAMS AND APPLY. SO SO FAR THIS IS
11 WORKING REALLY WELL.

12 THAT IS MERCIFULLY ALL I HAVE. ANY MORE
13 QUESTIONS? OKAY. THANK YOU.

14 CHAIRMAN THOMAS: THANK YOU, DR. MILLS.

15 ON TO THE MOST RIVETING ITEM AT EACH OF
16 OUR BOARD MEETINGS, THE CONSENT CALENDAR. DOES
17 ANYBODY HAVE ANYTHING THEY WANT TO PULL OUT OF THAT
18 FOR SPECIFIC CONSIDERATION? MR. SHEEHY.

19 MR. SHEEHY: I JUST WANTED TO MAKE A
20 COMMENT BECAUSE WE'RE RENEWING SOME OF THE GRANTS
21 WORKING GROUP FOLKS WHO HAVE BEEN WITH US FOR QUITE
22 A LONG TIME. AND PER THE DISCUSSION ABOUT BURNOUT
23 AND THE WORKLOAD THAT THEY'RE DOING, SOME OF THE
24 FOLKS THAT WE'RE RENEWING HAVE JUST BEEN
25 EXTRAORDINARY CONTRIBUTORS TO OUR PROGRAM. IF YOU

1 GET A CHANCE, LOOK THROUGH THAT. WE REALLY OWE THEM
2 A HUGE DEBT.

3 CHAIRMAN THOMAS: HERE. HERE, MR. SHEEHY.
4 THANK YOU FOR MAKING THAT POINT.

5 DO I HAVE A MOTION TO APPROVE THE CONSENT
6 CALENDAR?

7 DR. PRIETO: SO MOVED.

8 DR. STEWARD: SECOND.

9 CHAIRMAN THOMAS: MOVED BY DR. PRIETO,
10 SECONDED BY DR. STEWARD. ALL THOSE IN FAVOR IN THE
11 ROOM PLEASE SAY AYE. OPPOSED? ABSTENTIONS? MARIA,
12 CALL THE ROLL, PLEASE, FOR THOSE ON THE PHONE.

13 MS. BONNEVILLE: JACK DIXON.

14 DR. DIXON: YES.

15 MS. BONNEVILLE: KATHY LAPORTE. LAUREN
16 MILLER.

17 MS. MILLER: YES.

18 MS. BONNEVILLE: JOE PANETTA.

19 MR. PANETTA: YES.

20 MS. BONNEVILLE: AL ROWLETT.

21 MR. ROWLETT: YES.

22 MS. BONNEVILLE: KRISTINA VUORI.

23 DR. VUORI: YES.

24 MS. BONNEVILLE: DIANE WINOKUR.

25 MS. WINOKUR: YES.

1 MS. BONNEVILLE: BRUCE WINTRAUB.

2 DR. WINTRAUB: YES.

3 MS. BONNEVILLE: SHERRY LANSING.

4 MS. LANSING: YES.

5 CHAIRMAN THOMAS: THANK YOU, MARIA. ON TO
6 THE ACTION ITEMS. THE FIRST IS CONSIDERATION OF
7 APPLICATIONS SUBMITTED IN RESPONSE TO THE CLIN1,
8 PARTNERING OPPORTUNITY FOR LATE STAGE PRECLINICAL
9 PROJECTS, AND CLIN2, PARTNERING OPPORTUNITY FOR
10 CLINICAL TRIAL STAGE PROJECTS. CLINICAL
11 PRESENTATION, WE'RE GOING TO FIRST HEAR FROM DR.
12 SAMBRANO.

13 DR. SAMBRANO: THANK YOU VERY MUCH, MR.
14 CHAIRMAN. GOOD MORNING, EVERYONE. SO WE'RE
15 BRINGING FOR YOUR CONSIDERATION TODAY APPLICATIONS
16 THAT ARE RESPONDING TO OUR CLINICAL STAGE PROGRAMS.
17 AND JUST A REMINDER, AGAIN, THAT WE HAVE THREE TYPES
18 OF PROGRAMS. THESE ARE RESPONDING TO THE CLIN1,
19 WHICH ARE IND-ENABLING WORK, AS WELL AS CLIN2, WHICH
20 ARE THE PHASED CLINICAL TRIALS.

21 I WANT TO MAKE A NOTE, THAT ONE OF
22 APPLICATIONS FOR WHICH WE PROVIDED MATERIALS,
23 CLIN1-09759, IS GOING TO BE DEFERRED. THE APPLICANT
24 FILED AN APPEAL REQUEST. SO WE ARE REVIEWING THAT,
25 AND WE WILL BRING THAT BACK TO YOU WHEN THAT HAS

1 BEEN RESOLVED.

2 A COUPLE OF NOTES AND REMINDERS ABOUT OUR
3 PROCESS. EVERY TIME WE DO A CLINICAL REVIEW, AFTER
4 THE CONDUCT OF AN APPLICATION REVIEW, WE HAVE THE
5 FULL PANEL, INCLUDING THE PATIENT ADVOCATE MEMBERS,
6 TAKE A VOTE ON THE OVERALL PROCESS. AND THESE ARE
7 THE STATEMENTS WHICH THE VOTE IS TAKEN ON, AND ALL
8 MEMBERS VOTE ON WHETHER THEY FELT THAT THE REVIEW
9 WAS SCIENTIFICALLY RIGOROUS, WHETHER THERE WAS
10 SUFFICIENT TIME FOR ALL VIEWPOINTS TO BE HEARD, AND
11 THAT THE SCORES REFLECT THE RECOMMENDATION OF THE
12 GRANTS WORKING GROUP. THE PATIENT ADVOCATE MEMBERS,
13 WHO ARE ALSO MEMBERS OF THIS BOARD AND HAVE
14 OVERSIGHT DUTY, TAKE A VOTE ON WHETHER THEY FEEL
15 THAT THE REVIEW WAS CARRIED OUT IN A FAIR MANNER AND
16 WAS FREE FROM UNDUE BIAS.

17 I WANT TO NOTE THAT THE VOTE, AS IT OFTEN
18 HAS BEEN, WAS UNANIMOUS IN FAVOR ON BOTH STATEMENTS
19 FOR ALL APPLICATIONS THAT I WILL BE PRESENTING TO
20 YOU TODAY.

21 THE SCORING SYSTEM THAT WE UTILIZE FOR THE
22 CLIN REVIEW IS A 1, 2, 3 SYSTEM. APPLICATIONS THAT
23 RECEIVE A SCORE OF 1 FROM THE GRANTS WORKING GROUP
24 MEANS THAT THESE ARE APPLICATIONS THAT HAVE
25 EXCEPTIONAL MERIT AND FROM THEIR PERSPECTIVE WARRANT

1 FUNDING. A SCORE OF 2 MEANS IT'S A PROMISING
2 APPLICATION THAT NEEDS IMPROVEMENT, BUT WOULDN'T
3 WARRANT FUNDING AT THIS TIME. AND IT'S SOMETHING
4 THAT CAN BE RESUBMITTED TO ADDRESS THE AREAS THAT
5 NEED IMPROVEMENT. AND THEN A SCORE OF 3 MEANS THE
6 APPLICATION IS SUFFICIENTLY FLAWED SUCH THAT IT
7 DOESN'T WARRANT FUNDING AND SHOULD NOT BE
8 RESUBMITTED FOR AT LEAST SIX MONTHS. ESSENTIALLY
9 MEANS PLEASE GO BACK AND TRY AGAIN. AND ALL
10 APPLICATIONS ARE SCORED BY SCIENTIFIC MEMBERS WHO DO
11 NOT HAVE A CONFLICT.

12 SO WE'LL GO THROUGH EACH OF THESE ONE AT A
13 TIME, AND I'M GOING TO PRESENT THE HIGHLIGHTS OF THE
14 FIRST ONE.

15 THE FIRST APPLICATION IS CLIN2-09284.
16 THIS IS A CLINICAL TRIAL PROPOSAL FOR A CELL THERAPY
17 FOR ALS OR LOU GEHRIG'S DISEASE. THE THERAPY THAT
18 IS PROPOSED IS A NEUROPROGENITOR CELL THAT SECRETES
19 GDNF FOR ALS PATIENTS. THEIR GOAL UNDER THIS
20 PROPOSAL IS TO COMPLETE A PHASE 1/2A CLINICAL TRIAL
21 TO TEST THE SAFETY IN PATIENTS. THE MAJOR
22 ACTIVITIES INCLUDE THE ENROLLMENT OF THE PATIENTS
23 INTO THE CLINICAL TRIAL, THE ASSESSMENT OF THE
24 THERAPEUTIC PRODUCT, AND THEY ARE REQUESTING ABOUT
25 \$6 MILLION TO CONDUCT THIS WORK.

1 WHEN WE TAKE THESE APPLICATIONS THROUGH
2 THE REVIEW PROCESS, WE CONDUCT BOTH A BUDGET REVIEW
3 INITIALLY BEFORE IT GOES TO THE GRANTS WORKING
4 GROUP. SO THAT BUDGET REVIEW THAT WE CONDUCT
5 INTERNALLY, THIS APPLICATION GOT A PASS. SO IT WENT
6 ON TO THE GWG. THEY REVIEWED IT AND SCORED IT A 1
7 WITH SEVEN MEMBERS GIVING IT A SCORE OF 1, THREE
8 MEMBERS GIVING IT A SCORE OF 2, AND NONE GIVING IT A
9 SCORE OF 3. WE ALSO EVALUATE OUR PROCESS TO MAKE
10 SURE THAT EVERYTHING THAT NEEDED TO BE DONE IN TERMS
11 OF MAKING IT A FAIR REVIEW AND A COMPLETE REVIEW HAS
12 BEEN DONE. AND SO THE CIRM TEAM, BASED ON ALL THAT,
13 CONCURS WITH THE CONCLUSIONS OF THE GWG ON THAT
14 RECOMMENDATION AND SUGGEST AN AWARD AMOUNT OF 6.2
15 MILLION.

16 AND JUST A NOTE, AND THIS WILL BE A NOTE
17 ON EACH OF THE AMOUNTS THAT WE PRESENT TO YOU, THAT
18 THE FINAL AWARD AMOUNT THAT'S APPROVED WILL NOT
19 EXCEED THAT AMOUNT AND MAY BE REDUCED CONTINGENT ON
20 CIRM'S ASSESSMENT OF ALLOWABLE COSTS AND ACTIVITIES
21 AS WE GO FROM APPROVAL INTO THE CONTRACT PHASE WITH
22 EACH OF THE APPLICANTS.

23 SO I WILL PAUSE HERE FOR YOU TO CONSIDER
24 THIS APPLICATION.

25 CHAIRMAN THOMAS: SO WE'LL TURN THIS OVER

1 TO SUPERVISOR SHEEHY, HAS A NICE RING TO IT, TO
2 CONDUCT PROGRAMMATIC REVIEW ON THIS APPLICATION.

3 MR. SHEEHY: THANK YOU, CHAIRMAN THOMAS.
4 YOU KNOW IT'S FUNNY THAT YOU MENTION THAT I GET A
5 TITLE NOW. I HAVEN'T HAD A TITLE SINCE I'M ON THE
6 BOARD. IT SEEMED LIKE EVERYBODY ELSE HAD A TITLE.
7 THEY USED TO PUT J.D. BECAUSE WE HAD A LOT OF
8 LAWYERS AND THEN M.D. OR PH.D. IT'S ALWAYS JUST
9 BEEN THIS. I DON'T KNOW IF THAT'S BETTER OR WORSE.

10 SO WHAT I WOULD DO NOW IS TAKE A MOTION TO
11 EITHER APPROVE OR NOT APPROVE FUNDING FOR THIS
12 PROJECT.

13 MR. TORRES: MOVE TO APPROVE, MR.
14 CHAIRMAN.

15 MR. SHEEHY: MOTION BY SENATOR TORRES.

16 DR. DULIEGE: SECOND.

17 MR. SHEEHY: SECONDED BY DR. DULIEGE. I'M
18 TALKING TOO MUCH. ANNE-MARIE. I KNOW.

19 IS THERE ANY DISCUSSION AMONGST THE BOARD
20 MEMBERS? ANY PUBLIC COMMENT?

21 MR. REED: THIS IS OBVIOUSLY A TERRIFIC
22 PROGRAM. EVERYBODY AGREES ON IT THAT I CAN SEE.
23 BUT I JUST WANTED TO REMEMBER JOHN AMES WHO WAS A
24 TERRIFIC PATIENT ADVOCATE, WORKED VERY HARD ON
25 PROPOSITION 71. I REMEMBER WHERE I WAS WHEN HE GOT

1 THE NEWS THAT HIS SON, DAVID AMES, HAD DIED OF ALS.
2 SO THIS HAS A TERRIFIC MEANING, THAT WE'RE FIGHTING
3 BACK AGAINST SOMETHING WHICH IS JUST A DEADLY FOE.
4 AND THAT'S IT.

5 MR. SHEEHY: THANK YOU, DON. SEEING NO
6 OTHER PUBLIC COMMENT, MARIA, COULD YOU CALL THE ROLL
7 PLEASE.

8 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

9 DR. DULIEGE: YES.

10 MS. BONNEVILLE: DAVID HIGGINS.

11 DR. HIGGINS: YES.

12 MS. BONNEVILLE: STEVE JUELSGAARD.

13 MR. JUELSGAARD: YES.

14 MS. BONNEVILLE: KATHY LAPORTE. LAUREN
15 MILLER.

16 MS. MILLER: YES.

17 MS. BONNEVILLE: ADRIANA PADILLA.

18 DR. PADILLA: YES.

19 MS. BONNEVILLE: JOE PANETTA.

20 MR. PANETTA: YES.

21 MS. BONNEVILLE: FRANCISCO PRIETO.

22 DR. PRIETO: AYE.

23 MS. BONNEVILLE: ROBERT QUINT.

24 DR. QUINT: YES.

25 MS. BONNEVILLE: AL ROWLETT.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MR. ROWLETT: YES.

MS. BONNEVILLE: JEFF SHEEHY.

MR. SHEEHY: YES.

MS. BONNEVILLE: OS STEWARD.

DR. STEWARD: YES.

MS. BONNEVILLE: JONATHAN THOMAS.

CHAIRMAN THOMAS: YES.

MS. BONNEVILLE: ART TORRES.

MR. TORRES: AYE.

MS. BONNEVILLE: DIANE WINOKUR.

MS. WINOKUR: YES.

MS. BONNEVILLE: SHERRY LANSING.

MS. LANSING: YES.

MS. BONNEVILLE: THANK YOU. MOTION
CARRIES.

DR. DULIEGE: IF I MAY JUST ASK A
QUESTION. I DIDN'T WANT TO ASK IT BEFORE BECAUSE
THIS HAS NOTHING TO DO WITH MY APPROVAL, BUT I CAN'T
HELP BUT BE CURIOUS ABOUT THE SIZE OF THIS TRIAL,
AND HOW LONG IS THE INVESTIGATOR EXPECTED TO HAVE
RESULTS? IT'S JUST TO KNOW WHAT WILL BE THE NEXT
STEP AFTER THAT.

DR. SAMBRANO: SO THIS PROJECT IS ACTUALLY
A CONTINUATION OF A PROJECT THAT WE HAVE BEEN
FUNDING. SO THEY'RE GOING ON NOW TO THE NEXT STAGE

1 OF DOING THEIR PHASE 1 TRIAL. IT IS RELATIVELY
2 SMALL, SO IT'S UNDER 20 PATIENTS THAT THEY INTEND TO
3 TREAT. AND SO THE LENGTH OF TIME, I DON'T HAVE THE
4 TIMELINE, BUT TYPICALLY IT'S ABOUT TWO TO THREE
5 YEARS.

6 DR. DULIEGE: AND THEY HAVE ALREADY
7 TREATED SOME PATIENTS INITIALLY?

8 DR. SAMBRANO: THEY HAVE NOT YET TREATED
9 PATIENTS.

10 DR. DULIEGE: FIRST TIME. OKAY.

11 DR. SAMBRANO: SO THE NEXT APPLICATION IS
12 CLIN1-09472. THIS IS A PROJECT TO CONDUCT
13 PRECLINICAL DEVELOPMENT STUDIES FOR A CELL THERAPY
14 FOR KNEE OSTEOARTHRITIS. THE THERAPEUTIC IS AN
15 ADIPOSE-DERIVED MESENCHYMAL PROGENITOR CELL PRODUCT.
16 THE INDICATION IS FOR KNEE OSTEOARTHRITIS. AND
17 THEIR GOAL IS TO COMPLETE PRECLINICAL ACTIVITIES
18 THAT WILL ALLOW THEM TO FILE AN IND TO TEST THE
19 PRODUCT IN A CLINICAL TRIAL.

20 THE MAJOR ACTIVITIES THAT ARE PROPOSED
21 INCLUDE MANUFACTURING OF THIS PRODUCT TO SUPPLY THE
22 PROPOSED TRIAL, COMPLETE SOME NONCLINICAL SAFETY
23 STUDIES THAT WERE REQUESTED BY THE FDA, AND PREPARE
24 AND FILE THE IND. THIS PRODUCT IS SUPPORTED BY SOME
25 PRECLINICAL AS WELL AS CLINICAL DATA OF AN

1 AUTOLOGOUS PRODUCT, SO THEY'RE MAKING THIS INTO AN
2 ALLOGENEIC PRODUCT. THAT IS WHY THEY HAVE SOME
3 SPECIFIC REQUESTS FROM THE FDA AND THE NATURE OF THE
4 WORK THAT IS BEING CONDUCTED.

5 IN THE NEXT SLIDE WE SHOW THAT THE BUDGET
6 REVIEW THAT WE CONDUCTED RECEIVED A PASS. THE GWG
7 GAVE IT A SCORE OF 1, MEANING THAT THEY FELT THAT
8 THIS HAD EXCEPTIONAL MERIT. THERE WERE TEN MEMBERS
9 THAT VOTED FOR A SCORE OF 1, ONE MEMBER THAT VOTED
10 FOR A SCORE OF 2, AND ONE MEMBER THAT VOTED FOR A
11 SCORE OF 3. WE CONCUR, CIRM, WITH THE
12 RECOMMENDATION FROM THE GWG AND REQUEST AN AWARD
13 AMOUNT OF 2.3 MILLION. MR. SHEEHY.

14 MR. SHEEHY: THANK YOU, DR. SAMBRANO. DO
15 I HAVE A MOTION TO APPROVE OR NOT APPROVE THIS
16 APPLICATION?

17 MR. TORRES: AS A PRECURSOR, I WILL NOT
18 TAKE ADVANTAGE OF THIS AS I HAD A KNEE PUT IN TWO
19 YEARS AGO. SO I CAN MOVE TO HAVE THIS APPROVED
20 WITHOUT ANY CONFLICTS.

21 DR. DIXON: VERY DIFFICULT TO HEAR YOU.

22 MR. TORRES: MOVE TO APPROVE.

23 MR. SHEEHY: DO I HAVE A SECOND?

24 DR. JUELSGAARD: SECOND.

25 MR. SHEEHY: SECOND BY STEVE JUELSGAARD.

1 DO WE HAVE ANY PUBLIC COMMENT? STEVE.

2 DR. JUELSGAARD: YES, GIL, SO IN THE
3 LONGER PRESENTATION ASSOCIATED WITH THIS, THERE'S
4 DISCUSSION OF WORK THAT'S PREVIOUSLY BEEN DONE IN
5 CHINA. AND THIS WORK NOW IS BEING BROUGHT INTO
6 CALIFORNIA TO CARRY THAT ON. CAN YOU TALK A LITTLE
7 BIT ABOUT WHAT THE NEXUS WITH CHINA IS, WHAT'S BEEN
8 DONE?

9 DR. SAMBRANO: WHAT I HAD JUST VERY
10 BRIEFLY MENTIONED WAS THAT THEY HAVE AN AUTOLOGOUS
11 PRODUCT THAT THEY TESTED IN CHINA. SO THIS IS FROM
12 CELLS THAT WERE DERIVED FROM EACH OF THESE PATIENTS,
13 THEY WERE WORKED UP, AND THE SAME PATIENTS TREATED.
14 WHAT THEY'RE TRYING TO CREATE NOW IS AN ALLOGENEIC
15 PRODUCT THAT CAN BE MORE BROADLY UTILIZED FOR MORE
16 PATIENTS. SO THEY HAVE BOTH THAT PRECLINICAL DATA
17 AND SOME OF THE CLINICAL DATA TO SUPPORT THE
18 CONCEPT, BUT NOW THEY'RE GENERATING THE ALLOGENEIC
19 PRODUCT THROUGH THE PRECLINICAL STUDIES THAT THEY
20 ARE DOING. THEY HAVE ALREADY DONE PRECLINICAL
21 STUDIES AND THEN PLAN TO CONDUCT A TRIAL HERE IN THE
22 U.S. UNDER THE FDA TO BRING THE ALLOGENEIC PRODUCT
23 TO THE U.S.

24 MR. SHEEHY: ANY OTHER BOARD COMMENTS OR
25 QUESTIONS? PUBLIC COMMENT? MARIA, COULD YOU CALL

1 THE ROLL PLEASE.
2 MS. BONNEVILLE: ANNE-MARIE DULIEGE.
3 DR. DULIEGE: YES.
4 MS. BONNEVILLE: DAVID HIGGINS.
5 DR. HIGGINS: YES.
6 MS. BONNEVILLE: STEVE JUELSGAARD.
7 DR. JUELSGAARD: YES.
8 MS. BONNEVILLE: KATHY LAPORTE.
9 MS. LAPORTE: YES.
10 MS. BONNEVILLE: LAUREN MILLER.
11 MS. MILLER: YES.
12 MS. BONNEVILLE: ADRIANA PADILLA.
13 DR. PADILLA: YES.
14 MS. BONNEVILLE: JOE PANETTA.
15 MR. PANETTA: YES.
16 MS. BONNEVILLE: FRANCISCO PRIETO.
17 DR. PRIETO: AYE.
18 MS. BONNEVILLE: ROBERT QUINT.
19 DR. QUINT: YES.
20 MS. BONNEVILLE: AL ROWLETT.
21 MR. ROWLETT: YES.
22 MS. BONNEVILLE: JEFF SHEEHY.
23 MR. SHEEHY: NO.
24 MS. BONNEVILLE: OS STEWARD.
25 DR. STEWARD: YES.

1 MS. BONNEVILLE: JONATHAN THOMAS.

2 CHAIRMAN THOMAS: YES.

3 MS. BONNEVILLE: ART TORRES.

4 MR. TORRES: AYE.

5 MS. BONNEVILLE: DIANE WINOKUR.

6 MS. WINOKUR: YES.

7 MS. BONNEVILLE: MOTION CARRIES.

8 MR. SHEEHY: NEXT APPLICATION, DR.

9 SAMBRANO.

10 DR. SAMBRANO: THE NEXT APPLICATION IS
11 CLIN2-09504. THIS IS A PROJECT TO CONDUCT A
12 CLINICAL TRIAL OF A CELL THERAPY FOR X-LINKED SEVERE
13 COMBINED IMMUNODEFICIENCY OR SCID. THE THERAPY IS A
14 GENE-CORRECTED AUTOLOGOUS BONE MARROW STEM CELL FOR
15 PATIENTS WITH X-SCID. THE GOAL IS TO COMPLETE A
16 PHASE 1/2A CLINICAL TRIAL TO TEST THE SAFETY AND
17 INITIAL EFFICACY OF THIS THERAPEUTIC IN PATIENTS.

18 SOME OF THE PROPOSED ACTIVITIES INCLUDE
19 OPENING A TRIAL WITH THE CALIFORNIA PARTNER
20 INSTITUTION. THEIR APPROACH HERE IS THIS IS AN
21 APPLICANT WHO IS FROM OUTSIDE OF CALIFORNIA THAT IS
22 PARTNERING WITH THE CALIFORNIA INSTITUTION, SO SOME
23 PATIENTS WILL BE TREATED IN CALIFORNIA AND SOME
24 OUTSIDE OF CALIFORNIA. THE FUNDING THAT IS
25 REQUESTED IS TO CONDUCT THE STUDY THAT WILL TAKE

1 PLACE IN CALIFORNIA.

2 THEY WILL ENROLL PATIENTS AND THEN ANALYZE
3 THE IMMUNE RECONSTITUTION AND SAFETY IN THE X-SCID
4 PATIENTS. THE FUNDS REQUESTED IS 11.9 MILLION.

5 AND ON THE NEXT SLIDE, JUST A SUMMARY OF
6 THE REVIEW. THEY HAD A PASS ON THE OVERALL BUDGET
7 REVIEW. THE GWG GAVE IT AN OVERALL SCORE OF 1, WITH
8 TEN MEMBERS GIVING IT A SCORE OF 1 AND TWO MEMBERS A
9 SCORE OF 2. AND THE CIRM TEAM CONCURS WITH THIS
10 RECOMMENDATION AND RECOMMENDS AN AWARD AMOUNT OF
11 11.9 MILLION.

12 MR. SHEEHY: THANK YOU, DR. SAMBRANO.
13 COULD I GET A MOTION TO FUND THIS APPLICATION OR TO
14 NOT FUND?

15 DR. HIGGINS: SO MOVED.

16 MR. SHEEHY: SO IT'S MOVED TO --

17 DR. HIGGINS: FUND.

18 MR. SHEEHY: -- FUND BY DAVID HIGGINS. DO
19 I HAVE A SECOND?

20 DR. PRIETO: SECOND.

21 MR. SHEEHY: SECOND BY DR. PRIETO. ANY
22 BOARD -- STEVE JUELGAARD.

23 DR. JUELGAARD: SO, DR. SAMBRANO, IN
24 LOOKING AT THE BUDGET ASSOCIATED WITH THIS, WHICH IS
25 APPROACHING \$12 MILLION, BASICALLY TO ENROLL SIX

1 PATIENTS IN A CLINICAL TRIAL. I GUESS WHEN THIS WAS
2 REVIEWED BY OUR BUDGET REVIEWERS, THEY CONSIDERED
3 THIS APPROPRIATE. IT JUST SEEMS, WHEN YOU LOOK AT
4 THE OTHER -- IN THIS PARTICULAR ROUND, THE OTHER
5 TRIALS THAT ARE BEING CONDUCTED WHERE PATIENTS ARE
6 BEING ENROLLED, NOBODY APPROACHES A \$2 MILLION PER
7 PATIENT COST OF ENROLLMENT. SO I'M CURIOUS AS TO
8 WHY IT COSTS SO MUCH, OR WHETHER THERE'S SOMETHING
9 ELSE INVOLVED; AND, IF SO, WHAT AMOUNT OF MONEY IS
10 ALLOCATED TO THAT SOMETHING ELSE?

11 DR. SAMBRANO: SO PART OF THE ACTIVITIES
12 ALSO INCLUDE MANUFACTURING OF THE PRODUCT, BUT IT'S
13 IMPORTANT TO NOTE THAT THIS IS AN AUTOLOGOUS
14 PRODUCT. SO THAT ITSELF INCREASES THE COST
15 SUBSTANTIALLY. IT IS SIMILAR TO OTHER PROJECTS OF
16 THIS TYPE THAT BASICALLY INVOLVE AN AUTOLOGOUS BONE
17 MARROW TRANSPLANT TO TREAT SCID OR OTHER SIMILAR
18 DISEASES. SO IN TERMS OF THE OVERALL RELATIVE
19 AMOUNT AND THE ACTIVITIES THAT ARE PROPOSED, IT IS
20 NOT FAR FROM WHAT WE HAVE PREVIOUSLY APPROVED.

21 MR. SHEEHY: ANY OTHER BOARD COMMENTS OR
22 QUESTIONS? PUBLIC COMMENT? MARIA, COULD YOU CALL
23 THE ROLL PLEASE.

24 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

25 DR. DULIEGE: YES.

1 MS. BONNEVILLE: DAVID HIGGINS.
2 DR. HIGGINS: YES.
3 MS. BONNEVILLE: STEVE JUELSGAARD.
4 MR. JUELSGAARD: YES.
5 MS. BONNEVILLE: KATHY LAPORTE.
6 MS. LAPORTE: YES.
7 MS. BONNEVILLE: LAUREN MILLER.
8 MS. MILLER: YES.
9 MS. BONNEVILLE: ADRIANA PADILLA.
10 DR. PADILLA: YES.
11 MS. BONNEVILLE: JOE PANETTA.
12 MR. PANETTA: YES.
13 MS. BONNEVILLE: FRANCISCO PRIETO.
14 DR. PRIETO: AYE.
15 MS. BONNEVILLE: ROBERT QUINT.
16 DR. QUINT: ABSTAIN.
17 MS. BONNEVILLE: AL ROWLETT.
18 MR. ROWLETT: YES.
19 MS. BONNEVILLE: JEFF SHEEHY.
20 MR. SHEEHY: YES.
21 MS. BONNEVILLE: OS STEWARD.
22 DR. STEWARD: YES.
23 MS. BONNEVILLE: JONATHAN THOMAS.
24 CHAIRMAN THOMAS: YES.
25 MS. BONNEVILLE: ART TORRES.

1 MR. TORRES: AYE.

2 MS. BONNEVILLE: DIANE WINOKUR.

3 MS. WINOKUR: YES.

4 MS. BONNEVILLE: MOTION CARRIES.

5 MR. SHEEHY: SO, DR. SAMBRANO, GO TO THE
6 NEXT ONE PLEASE.

7 DR. SAMBRANO: THANK YOU. THE NEXT
8 APPLICATION AND THE LAST ONE THAT WE WILL CONSIDER
9 TODAY IS CLIN2-09730. THIS IS FOR A CLINICAL TRIAL
10 OF A CELL THERAPY TO TREAT TYPE 1 DIABETES. THIS IS
11 ALSO AN AUTOLOGOUS TYPE OF THERAPY THAT UTILIZES
12 T-REGULATORY T CELLS THAT ARE EXPANDED EX VIVO. THE
13 INDICATION IS FOR EARLY ONSET OF TYPE 1 DIABETES
14 PATIENTS. SO THESE ARE FOR ADOLESCENTS.

15 THE GOAL IS TO COMPLETE A PHASE 2 CLINICAL
16 TRIAL TO TEST THE SAFETY AND EFFICACY OF THE
17 PRODUCT. AND ACTIVITIES INCLUDE ENROLLMENT AND
18 TREATMENT OF 92 SUBJECTS IN THE PHASE 2 TRIAL, THE
19 MANUFACTURING OF THE PRODUCT. AND THE FUNDS
20 REQUESTED ARE 12.2 MILLION. THE APPLICANT IS
21 PROVIDING CO-FUNDING AMOUNT OF ABOUT 8 MILLION, FOR
22 AN APPROXIMATE TOTAL OF 20 MILLION FOR THE PROJECT.

23 AND ON THE NEXT SLIDE, A SUMMARY OF THE
24 OVERALL REVIEW. THE BUDGET REVIEW RECEIVED A PASS.
25 THE GWG SCORED THIS A 1 WITH 11 MEMBERS SCORING IT A

1 1 AND NONE SCORING IT IN THE 2 OR 3 CATEGORY. CIRM
2 TEAM CONCURS WITH THIS RECOMMENDATION AND SUGGESTS
3 AN AWARD AMOUNT OF 12.2 MILLION.

4 MR. SHEEHY: THANK YOU, DR. SAMBRANO. DO
5 I HAVE A MOTION TO EITHER APPROVE OR NOT APPROVE
6 FUNDING?

7 DR. PRIETO: MOVE TO APPROVE.

8 MR. SHEEHY: DR. PRIETO MOVES TO APPROVE.
9 DO I HAVE A SECOND?

10 CHAIRMAN THOMAS: SECOND.

11 MR. SHEEHY: SECOND BY CHAIRMAN THOMAS.
12 ANY BOARD COMMENTS OR QUESTIONS?

13 DR. JUELSGAARD: JUST TO CONTINUE ON. SO,
14 DR. SAMBRANO, THIS PROGRAM IS DESIGNED TO ENROLL 92
15 PATIENTS IN A PHASE 2 CLINICAL TRIAL. AND THERE'S A
16 SUGGESTION THAT IT'S A CONTINUATION OF A TRIAL
17 THAT'S ALREADY IN PROGRESS; IS THAT RIGHT?

18 DR. SAMBRANO: IT'S A FOLLOW-ON TO A PHASE
19 1 TRIAL.

20 DR. JUELSGAARD: SO THERE IS NO PHASE 2
21 WORK DONE TO THIS POINT. I MISREAD IT. THANK YOU.

22 MR. SHEEHY: ANY OTHER BOARD COMMENTS OR
23 QUESTIONS?

24 DR. DULIEGE: YES. I THINK --

25 MR. HARRISON: ANNE-MARIE, YOU CAN'T

1 PARTICIPATE IN THIS DISCUSSION.

2 MR. SHEEHY: ANY OTHER BOARD COMMENTS OR
3 QUESTIONS? IS THERE ANY PUBLIC COMMENT? MARIA,
4 COULD YOU CALL THE ROLL PLEASE.

5 MS. BONNEVILLE: DAVID HIGGINS.

6 DR. HIGGINS: YES.

7 MS. BONNEVILLE: STEVE JUELSGAARD.

8 MR. JUELSGAARD: YES.

9 MS. BONNEVILLE: KATHY LAPORTE.

10 MS. LAPORTE: YES.

11 MS. BONNEVILLE: LAUREN MILLER.

12 MS. MILLER: YES.

13 MS. BONNEVILLE: ADRIANA PADILLA.

14 DR. PADILLA: YES.

15 MS. BONNEVILLE: JOE PANETTA.

16 MR. PANETTA: YES.

17 MS. BONNEVILLE: FRANCISCO PRIETO.

18 DR. PRIETO: AYE.

19 MS. BONNEVILLE: ROBERT QUINT.

20 DR. QUINT: YES.

21 MS. BONNEVILLE: AL ROWLETT.

22 MR. ROWLETT: YES.

23 MS. BONNEVILLE: JEFF SHEEHY.

24 MR. SHEEHY: YES.

25 MS. BONNEVILLE: OS STEWARD.

1 DR. STEWARD: YES.
2 MS. BONNEVILLE: JONATHAN THOMAS.
3 CHAIRMAN THOMAS: YES.
4 MS. BONNEVILLE: ART TORRES.
5 MR. TORRES: AYE.
6 MS. BONNEVILLE: DIANE WINOKUR.
7 MS. WINOKUR: YES.
8 MS. BONNEVILLE: MOTION CARRIES.
9 MR. SHEEHY: THANK YOU. DR. SAMBRANO, THE
10 NEXT ONE PLEASE.
11 DR. SAMBRANO: THAT'S IT. WE'RE DONE.
12 MR. SHEEHY: GREAT. THANK YOU. SO IT'S
13 BACK TO YOU, CHAIRMAN THOMAS.
14 CHAIRMAN THOMAS: THANK YOU, MR.
15 SUPERVISOR.
16 WE ARE GOING TO TAKE A FIVE-MINUTE BREAK
17 HERE TO ALLOW BETH TO REGROUP. I WOULD SAY I
18 UNDERSTAND THERE ARE SOME INTERNET ISSUES SOME
19 MEMBERS MAY BE HAVING. I'M INFORMED WE ARE LOOKING
20 INTO IT AND HOPE TO HAVE THOSE CORRECTED ASAP. SO
21 FIVE-MINUTE BREAK.
22 (A RECESS WAS TAKEN.)
23 CHAIRMAN THOMAS: OKAY. WE ARE RESUMING
24 NOW. WE'RE GOING TO ACTION ITEM NO. 9, WHICH IS
25 CONSIDERATION OF THE ALPHA CLINICS CONCEPT PLAN.

1 DR. MILLAN PRESENTING.

2 DR. MILLAN: GOOD MORNING. THANK YOU,
3 CHAIRMAN THOMAS AND MEMBERS OF THE BOARD. IT'S MY
4 PLEASURE, ON BEHALF OF THE CIRM TEAM, TO PRESENT AN
5 UPDATE ON THE ALPHA CLINICS NETWORK AS WELL AS TO
6 PRESENT TO YOU A CONCEPT PROPOSAL FOR THE EXPANSION
7 OF THIS NETWORK.

8 IN KEEPING WITH CIRM'S MISSION OF
9 ACCELERATING STEM CELL TREATMENTS TO PATIENTS, CIRM
10 FUNDED THE CREATION OF THE ALPHA CLINICS NETWORK AND
11 IT'S BEEN NOW IN EXISTENCE FOR JUST A LITTLE BIT
12 OVER TWO YEARS. THE MISSION OF THIS NETWORK IS TO
13 ACCELERATE STEM CELL TREATMENTS BY PROVIDING
14 SERVICES AND SUPPORT TO CONDUCT HIGH QUALITY
15 CLINICAL TRIALS WHILE ACCELERATING ALL THE PIECES
16 THAT GO INTO MAKING THE TRIAL A SUCCESS.

17 CURRENTLY THERE ARE THREE ALPHA CLINICS
18 PROGRAMS. THERE'S A COMBINED PROGRAM BY UCLA, UC
19 IRVINE AS A CONSORTIUM, UC SAN DIEGO, AND THE CITY
20 OF HOPE.

21 OVERALL CIRM, EITHER THROUGH DIRECT
22 FUNDING OR THROUGH SUPPORT OF CLINICAL TRIALS IN
23 THIS, ALPHA CLINICS NETWORK HAS BEEN INVOLVED IN
24 SUPPORTING OVER 50 STEM CELL CLINICAL TRIALS. EIGHT
25 OF THE CIRM-FUNDED CLINICAL TRIALS ARE BEING

1 CONDUCTED WITHIN THE ALPHA CLINICS NETWORK.

2 AS A GRAPHIC REPRESENTATION OF HOW THE
3 ALPHA CLINICS NETWORK HAS CATALYZED THE EXPANSION OF
4 SUPPORT OF CLINICAL TRIALS IN CALIFORNIA, I PRESENT
5 HERE TODAY A GRAPHIC REPRESENTATION OF THE NUMBER OF
6 TRIALS THAT WERE PRESENT BEFORE THE FORMATION OF THE
7 ALPHA CLINICS IN BLUE AT EACH OF THE GIVEN SITES,
8 CITY OF HOPE, THE COMBINED UC IRVINE/UCLA ALPHA
9 CLINICS, AND THE UCSD ALPHA CLINIC. AND THE GROWTH
10 AND NUMBER OF TRIALS AT THOSE CENTERS IN ORANGE.

11 IT SHOULD BE NOTED THAT WITH CITY OF HOPE,
12 THE GRANT EXPANSION OF THOSE PROGRAMS IS DUE TO
13 INDUSTRY ENGAGEMENT WITH MULTICENTER TRIALS IN THE
14 AREA OF HEMATOPOIETIC STEM CELL TRANSPLANT IN
15 ONCOLOGY, WHICH IS REALLY A TESTAMENT TO THE FACT
16 THAT THOSE TECHNOLOGY PLATFORMS AND INDICATIONS ARE
17 THE FURTHEST ALONG.

18 MUCH LIKE THE CIRM PORTFOLIO, THE TRIALS,
19 THERE'S A BROAD RANGE OF TRIALS, DISEASE
20 INDICATIONS, AND STEM CELL TECHNOLOGY PLATFORMS THAT
21 ARE BEING TESTED IN CLINICAL TRIALS ACROSS THIS
22 ALPHA CLINICS NETWORK AS LISTED HERE. AND EVEN
23 WITHIN THE BROADEST INDICATION, WHICH IS CANCER,
24 THERE'S A GOOD REPRESENTATION OF BOTH LIQUID AS WELL
25 AS SOLID CANCERS OF THE VARIOUS TYPES AS LISTED ON

1 THE RIGHT.

2 IN ADDITION TO PROVIDING SERVICES AND
3 SUPPORT FOR THE CONDUCT OF THESE TRIALS, THESE
4 NETWORKS HAVE REALLY BROUGHT VALUE-ADD RESOURCES TO
5 ACCELERATING THE CONDUCT OF THESE CLINICAL TRIALS
6 WHILE MAINTAINING THE HIGHEST QUALITY AND RIGOR IN
7 CONDUCTING THESE TRIALS.

8 AND THE RESOURCES WHICH HAVE BEEN
9 DEVELOPED IN THE NETWORK OVER THE PAST TWO YEARS,
10 SOME OF THEM ARE JUST LISTED HERE, INCLUDE THE IRB
11 RELIANCE, WHICH ALLOWS FOR CONCURRENT IRB APPROVAL
12 AT MULTIPLE SITES WITH A SINGLE IRB REVIEW. SO, FOR
13 INSTANCE, AN APPROVAL OF A CLINICAL PROTOCOL AT UCSD
14 COULD RESULT IN AUTOMATIC APPROVAL AT UCLA, UC
15 IRVINE, CITY OF HOPE, ALONG WITH UCSD VIA THE MOU'S
16 AND RECIPROCAL UNDERSTANDING BETWEEN ALL OF THE
17 IRB'S OF THOSE INSTITUTIONS. THIS REPRESENTS
18 SIGNIFICANT TIME SAVINGS, EFFICIENCY, AND IT REALLY
19 INVOLVES A NETWORK IN ORDER TO DO THIS.

20 IN ADDITION, THE NETWORK WAS ABLE TO
21 LEVERAGE EXISTING REGISTRIES, THE UC REX REGISTRY AS
22 WELL AS THE L.A. DATA REPOSITORY, WHICH ARE
23 DEIDENTIFIED AND HIPAA COMPLIANT MEDICAL RECORDS OF
24 OVER 20 MILLION PATIENTS IN CALIFORNIA. THIS TOOL
25 ALLOWS FOR A DATABASE SEARCH FOR COHORT FINDING AND

1 ALLOWS INVESTIGATORS TO REALLY FIND HEAT MAPS OF
2 WHERE AFFECTED PATIENTS WHO WOULD BE ELIGIBLE FOR
3 THESE TRIALS COULD BE LOCATED AND IS A REALLY
4 VALUABLE TOOL IN TERMS OF PLANNING THE TRIAL FOR
5 PATIENT ENROLLMENT AND RECRUITMENT.

6 WE CURRENTLY HAVE INVESTIGATORS UTILIZING
7 THIS SERVICE, AND WE'VE BEEN REALLY PLEASED WITH HOW
8 THAT'S GOING SO FAR AND IT'S CONTINUING TO BE
9 DEVELOPED.

10 ADDITIONALLY, AT THE VERY START OF THE
11 FORMATION OF THE NETWORK AND ONGOING, WE HAD
12 EMBEDDED METRICS TRACKING IN KEEPING WITH THE
13 APPROACH THAT CIRM HAS BEEN TAKING, AS DR. MILLS HAD
14 PRESENTED EARLIER. AND WE REALLY HAVE ALREADY SEEN
15 THAT METRICS TRACKING BY THE CENTERS HAVE BEEN ABLE
16 TO TRACK AS WELL AS DRIVE PERFORMANCE IMPROVEMENTS.
17 PRELIMINARY REPORTS FROM A SINGLE CENTER WHICH
18 HASN'T VARIED THE TYPES OF TRIALS YET, BUT HAS
19 INCREASED ITS VOLUME, HAVE SHOWN THAT THE TIME FROM
20 IRB SUBMISSION TO APPROVAL WAS REDUCED BY 40
21 PERCENT, AND THE TIME FROM IRB APPROVAL TO FIRST
22 PATIENT ENROLLMENT REDUCED BY 72 PERCENT. AGAIN,
23 SPEAKING TO THE MISSION OF ACCELERATION AND, IN
24 INDUSTRY TERMS, TIME IS MONEY. SO THIS IS A VERY
25 VALUABLE ASSET THAT THE NETWORK BRINGS TO CLINICAL

1 RESEARCH.

2 ADDITIONALLY, THE POWER OF THE NETWORK IS
3 REALLY DEMONSTRATED IN THIS PARTICULAR INSTANCE OF A
4 *NEW ENGLAND JOURNAL OF MEDICINE* REPORT FROM A TRIAL
5 THAT WAS CONDUCTED OUT OF THE CITY OF HOPE ALPHA
6 CLINICS. IT'S A CHIMERIC ANTIGEN RECEPTOR T-CELL
7 APPROACH TO TREATMENT OF GLIOBLASTOMA, WHICH IS A
8 SOLID CANCER IN THE BRAIN. IT LEVERAGES THE NURSING
9 BEST PRACTICES AND CELL HANDLING AND FUSION
10 TREATMENTS THAT ARE REALLY UNIQUE TO STEM CELL
11 CLINICAL TRIALS, PATIENT SUPPORT, EDUCATION, AND
12 INFORMED CONSENT TOOLS THAT ARE BEING DEVELOPED IN
13 THE NETWORK. ALL OF THESE TOGETHER AND MUCH MORE
14 THAT ARE PROVIDED BY THE NETWORK REALLY ALLOWS THE
15 PERFORMANCE OF THESE COMPLEX CLINICAL TRIALS.

16 SO THESE ENCOURAGING RESULTS, WHICH ARE
17 REPORTED HERE IN THE *NEW ENGLAND JOURNAL* IS JUST A
18 START OF WHAT WE BELIEVE IS DEVELOPMENT OF MORE OF
19 THESE TYPES OF TRIALS AND THERAPIES.

20 GIVEN THE PERFORMANCE OF THE NETWORK AND
21 THE ENCOURAGING RESULTS WE'RE SEEING SO FAR, WE ARE,
22 THEREFORE, BRINGING FOR YOUR CONSIDERATION A CONCEPT
23 PROPOSAL TO EXPAND THIS NETWORK. THE OBJECTIVE OF
24 THIS NETWORK EXPANSION AWARD IS TO SUPPORT
25 ADDITIONAL ALPHA CLINIC SITES THAT WILL INCREASE THE

1 CAPACITY AND ACCESS TO SERVICES TO CONDUCT THESE
2 TRIALS AND INCREASE ACCESS TO PATIENTS ALL OVER
3 CALIFORNIA.

4 THE FUNDING WOULD ALSO SUPPORT THE
5 CREATION OF TRAINING AND CAREER DEVELOPMENT OF
6 PHYSICIANS SEEKING TO CONDUCT THESE SPECIALIZED
7 CLINICAL TRIALS AND TO AID IN THE DEVELOPMENT OF
8 THESE THERAPIES INTO THE CLINICS FOR EVENTUAL
9 ADOPTION. AND WE EXPECT THAT THE FUNDED ADDITIONAL
10 ALPHA CLINICS WILL BRING EVEN MORE ASSETS TO THE
11 NETWORK TO ADD TO THE VALUE PROPOSITION OF THE
12 NETWORK AS A WHOLE.

13 THE REQUIREMENTS FOR THESE APPLICANTS AND
14 AWARDEES WOULD BE THAT THEY WOULD BE LOCATED IN AN
15 ACADEMIC CALIFORNIA MEDICAL CENTER WITH CLEAR
16 INSTITUTIONAL SUPPORT FOR THIS, DEMONSTRATE THE
17 ABILITY TO PERFORM STEM CELL CLINICAL TRIALS, AND
18 DEMONSTRATE THE ABILITY TO BE ABLE TO SUSTAIN THIS
19 IN THE LONG TERM BEYOND CIRM FUNDING, AND THAT THEY
20 WOULD PROVIDE SERVICES AND PROGRAMS FOR PATIENT
21 RECRUITMENT, EDUCATION, CARE, AND SERVING
22 CALIFORNIA'S DIVERSE POPULATION, AND CONTINUE TO
23 PROVIDE CLINICAL TRIAL OPERATIONS AND MANAGEMENT
24 SERVICES, LEVERAGING WHAT'S ALREADY IN EXISTENCE IN
25 THE NETWORK AND ADDING TO THAT, CREATION OF THE

1 ALPHA CLINICS FELLOWSHIP PROGRAM AND, OF COURSE,
2 JUST ENHANCING THE OVERALL NETWORK.

3 WE'RE REQUESTING THAT \$8 MILLION OF
4 FUNDING FOR TWO AWARDS BE ALLOCATED FOR THIS
5 EXPANSION CONCEPT. WE PROPOSE THAT IF YOU APPROVE
6 THIS CONCEPT THAT WE WOULD ISSUE THE APPLICATION
7 WITH AN APPLICATION DEADLINE OF MAY, REVIEWED IN
8 JULY 2017, AND BROUGHT BACK TO YOU FOR YOUR APPROVAL
9 IN AUGUST OF 2017 FOR THOSE AWARDS THAT THE GWG
10 RECOMMENDS. AND WE BELIEVE THAT THESE CENTERS COULD
11 BE LAUNCHED BY THE END OF Q-3, EARLY Q-4 OF THIS
12 YEAR. THANK YOU.

13 CHAIRMAN THOMAS: QUESTION, MR.
14 JUELSGAARD.

15 DR. JUELSGAARD: YES, MARIA. SO AS PART
16 OF THE JUSTIFICATION FOR TRYING TO BRING ON BOARD
17 TWO MORE OF THE ALPHA CLINICS, THE FIRST OF THE
18 THREE THAT YOU MENTIONED DEALT WITH ACCESS AND
19 CAPACITY. SO MY QUESTION IS IS THERE A LIMITATION
20 RIGHT NOW ON ACCESS AND CAPACITY AT THE EXISTING
21 ALPHA CLINICS? IS THAT A PROBLEM, AND THAT'S WHY
22 WE'RE TRYING TO ADDRESS THIS? WHEN YOU LOOK AT THE
23 CITY OF HOPE, THEY HAVE OBVIOUSLY A WHOLE LONG LIST
24 OF PARTICIPANTS, BUT THE OTHER THREE INSTITUTIONS
25 ARE NOT NEARLY AS ENGAGED AS THE CITY OF HOPE. AND,

1 HENCE, MY QUESTION ABOUT THIS ACCESS CAPACITY
2 CONSTRAINT THAT YOU ALLUDE TO.

3 DR. MILLAN: SO IN TERMS OF CAPACITY, I
4 DIDN'T PUT UP THERE WHAT THE ACTUAL ENROLLMENTS
5 WERE. SO THE GRAPH THAT I PUT UP MAY HAVE BEEN A
6 LITTLE MISLEADING BECAUSE EVEN THE SITES ON THE BAR
7 GRAPH LOOKED LOWER BECAUSE IT'S RELATIVE TO CITY OF
8 HOPE THAT IT LOOKED LOWER, THE ACTUAL PATIENT
9 ENROLLMENTS IN THOSE SITES WERE NOT MUCH DIFFERENT
10 IN TERMS OF PERCENT OF TOTAL ENROLLED PATIENTS IN
11 THE NETWORK. IT WAS SOMETHING LIKE 25 PERCENT, 30
12 PERCENT, AND THEN THE REST CITY OF HOPE, SOMETHING
13 LIKE THAT, OR SOMETHING TO THAT EXTENT.

14 SO IN TERMS OF CAPACITY, WE ALSO EXPECT A
15 PIPELINE OF OTHER TRIALS BECAUSE NOW THAT THE
16 NETWORK IS FORMED, WE ARE REFERRING MORE AND MORE
17 PROJECTS. THE PI'S ARE NOW STARTING TO UNDERSTAND
18 THE VALUE OF THE NETWORK, SO THEY HAVE A LOT MORE
19 DEMAND THAT'S BEING PUT ON THEM. SO WE BELIEVE THAT
20 THEY STILL HAVE CAPACITY, BUT THERE'S ALSO THIS
21 ISSUE THAT CURRENTLY THREE ALPHA CLINICS ARE IN
22 SOUTHERN CALIFORNIA. AND SO THE ACCESS IN TERMS OF
23 SOME OF THE OTHER GEOGRAPHIC AREAS IN CALIFORNIA ARE
24 SOMEWHAT LIMITED, AND FOR SOME OF THESE TRIALS,
25 TRAVEL FOR THE PATIENTS AND RECRUITMENT

1 CONSIDERATIONS COULD BE AN ISSUE.

2 DR. JUELSGAARD: SO THEN THAT RAISES, I
3 GUESS, FOR ME TWO QUESTIONS. THE FIRST IS I DIDN'T
4 SEE IT AS PART OF THE CRITERIA, BUT YOU'RE IMPLYING
5 THAT ONE OF THE CRITERIA IS A SITE OR SITES IN
6 NORTHERN CALIFORNIA?

7 DR. MILLAN: I THINK THE GEOGRAPHIC ACCESS
8 IS ONE. IT'S NOT AN ELIGIBILITY CRITERIA, BUT IT'S
9 ONE OF THE REVIEW CONSIDERATIONS THAT WOULD BE
10 ANTICIPATED TO BE IN THE APPLICATION ITSELF AND BE
11 REVIEWED BY THE GWG.

12 DR. JUELSGAARD: AND SO IF IN THAT REVIEW
13 WE HAVE NORTHERN CALIFORNIA SITES THAT THE GWG
14 DOESN'T CONSIDER SUFFICIENT, BUT THERE IS AN
15 ADDITIONAL ONE OR TWO SOUTHERN CALIFORNIA SITES,
16 WOULD THEY SIMPLY BE ELIMINATED BY THEIR
17 GEOGRAPHICAL LOCATION?

18 DR. MILLAN: MAYBE I CAN TURN IT OVER TO
19 GIL SAMBRANO OR DR. MILLS.

20 DR. MILLS: SO IN ORDER FOR THEM TO BE
21 COMPETITIVE, THEY WOULD HAVE TO MAKE AN ARGUMENT
22 THAT THERE'S SUFFICIENT PATIENT DEMAND THAT THEY CAN
23 SERVE THERE. NOW, SOUTHERN CALIFORNIA IS AN
24 ENORMOUS PLACE. WE HAVE THREE. WE DON'T HAVE 300.
25 SO I THINK WE WOULD LIKE TO SEE GEOGRAPHICAL

1 REPRESENTATION ACROSS THE STATE, BUT AN ALPHA CLINIC
2 NEED ONLY MAKE THE ARGUMENT THAT THEY CAN ADD VALUE.

3 GOING BACK TO, I THINK, YOUR FIRST POINT,
4 WHAT WAS COMPELLING TO ME ABOUT THIS, AND I WAS
5 ORIGINALLY, IF YOU WILL RECALL, SKEPTICAL OF THE
6 PROGRAM, WAS THAT IN ESSENTIALLY EVERY PLACE WE WENT
7 INTO, WE DOUBLED, AT LEAST, PARTICIPATION IN STEM
8 CELL CLINICAL TRIALS AND PATIENT ENROLLMENT IN THOSE
9 CLINICAL TRIALS. AND SO WE LOOK AT THAT WITHOUT
10 NECESSARILY HAVING TO DOUBLE OUR INVESTMENT IN THOSE
11 CLINICAL TRIALS. SO I THINK THAT GRAPH SHOWS THE
12 OVERLAP IS A PARTICULARLY TELLING ONE. IT'S A GREAT
13 EXAMPLE OF CIRM LEVERAGE WHERE WE GO INTO A PLACE,
14 WE SET UP AN ALPHA CLINIC, THEY FUND A WHOLE LOT OF
15 STEM CELL RESEARCH THAT WE DON'T HAVE TO DIRECTLY
16 FUND, BUT WE'RE GETTING OUR MISSION ACCOMPLISHED.

17 THE HARMONIZED IRB, AS A PERSON THAT'S
18 OVERSEEN A LOT OF CLINICAL TRIALS, PARTICULARLY STEM
19 CELL CLINICAL TRIALS, BEING ABLE TO HAVE A COMMON
20 IRB THAT'S SOPHISTICATED AND UNDERSTANDS THESE
21 ISSUES, I THINK, IS A SIGNIFICANT VALUE ADD WHICH
22 REDUCES THE AMOUNT OF TIME THAT IT TAKES TO GET A
23 CLINICAL TRIAL STARTED AND THEN WHICH IS EXACTLY
24 CONCURRENT WITH OUR MISSION TO ACCELERATE THIS
25 STUFF.

1 SO THAT'S KIND OF IN A NUTSHELL. I THINK
2 THIS IS JUST A GOOD INVESTMENT FOR CIRM GIVEN THE
3 FACT THAT WE PUT IN A RELATIVELY SMALL AMOUNT OF
4 MONEY AND LEVERAGED THAT ACROSS A LOT OF NEW TRIALS
5 THAT APPARENTLY WOULD NOT BE TAKING PLACE OTHERWISE.

6 DR. JUELSGAARD: AND SO HOW DID YOU ARRIVE
7 AT THE NUMBER TWO VERSUS THE NUMBER ONE OR THE
8 NUMBER THREE OR SOME OTHER NUMBER THAT YOU THOUGHT
9 SHOULD BE FUNDED?

10 DR. MILLS: HOW MUCH MONEY WE HAD LEFT.
11 IT'S LITERALLY BUDGETARY CONSTRAINT.

12 MR. JUELSGAARD: IT'S A CONSTRAINT. IT'S
13 NOT A DESIRE TO SPEND THE MONEY THEN?

14 DR. MILLS: NO. NO. I WOULD SAY WE WOULD
15 PROBABLY CONSIDER IN FUTURE ITERATIONS MORE IF IT
16 CONTINUES TO WORK. THE ORIGINAL PLAN WAS FOR FIVE.
17 WE DIDN'T CONSTRAIN THAT PLAN. THERE WERE ONLY
18 THREE THAT MET THE REVIEW CRITERIA, IF YOU WILL
19 RECALL. SO OUR SORT OF SECOND WAVE OF THIS IS LET'S
20 BUILD OUT THE ORIGINAL FIVE THAT WERE CONTEMPLATED.

21 DR. JUELSGAARD: FINAL QUESTION. THIS HAS
22 TO DO WITH THE CITY OF HOPE, AND MAYBE WE CAN GET
23 THE CITY OF HOPE REPRESENTATIVE TO SPEAK TO IT. BUT
24 ONE OF THINGS THAT'S A POSITIVE ABOUT THE CITY OF
25 HOPE IS THAT THEY HAVE PROCESS DEVELOPMENT AND

1 MANUFACTURING EXPERTISE ON BOARD, WHICH I DON'T
2 BELIEVE ANY OF THE OTHER ALPHA CLINICS DO. AND I
3 WONDER HOW MUCH THE SCOPE OF THE NUMBER OF CLINICAL
4 TRIALS AT THE CITY OF HOPE SEES IS DRIVEN BY THAT
5 EXPERTISE, AND WHETHER, IF IT IS, WE SHOULDN'T BE
6 LOOKING AT WHETHER WE CAN DEVELOP SOMETHING
7 SIMILARLY AT SOME OTHER INSTITUTION BECAUSE THAT CAN
8 BE RESOURCE CONSTRAINED.

9 DR. MILLAN: THERE ARE GMP MANUFACTURING
10 FACILITIES AT OTHER INSTITUTIONS, SUCH AS UC DAVIS,
11 AND STANFORD JUST OPENED THEIRS. GENERALLY THEY'RE
12 FOR EARLY PHASE TRIALS, SUCH AS CITY OF HOPE.

13 I SHOULD ALSO MENTION THAT PRESIDENT MILLS
14 HAD PRESENTED THE PITCHING MACHINE. THE TRANSLATING
15 CENTER HAS A FORMAL COLLABORATION WITH THE CITY OF
16 HOPE. SO QUINTILES, CITY OF HOPE, AND ADDITIONAL
17 OTHER MANUFACTURING ASSETS ARE GOING TO BE BROUGHT
18 INTO THAT TRANSLATING CENTER TO PROVIDE ACCESS TO
19 ALL CIRM GRANTEES AND STEM CELL CLINICAL TRIALS TO
20 THOSE MANUFACTURING AND PROCESS DEVELOPMENT GMP
21 FACILITIES.

22 DR. JUELSGAARD: SO IN YOUR EVALUATION
23 CRITERIA FOR A NEW CENTER, ARE YOU INCLUDING AS A
24 POSITIVE THE ABILITY TO DO PROCESS
25 DEVELOPMENT/MANUFACTURING WORK? IS THAT ONE THE

1 THINGS THAT YOU WOULD CONSIDER ONE OF THE THINGS
2 THAT WOULD RANK SOMEBODY ABOVE SOMEBODY WHO DIDN'T
3 HAVE THAT?

4 DR. MILLAN: IT'S NOT SPECIFICALLY CALLED
5 OUT, BUT THAT WOULD BE AN ASSET THAT THE APPLICANT
6 WOULD BE EXPECTED TO BRING FORWARD AS WHAT THEY
7 WOULD BRING TO THE NETWORK. IN ADDITION,
8 SPECIALIZATION AND VARIOUS TECHNOLOGY PLATFORMS THAT
9 THEY MAY HAVE DEVELOPED AT THEIR CENTER FOR VARIOUS
10 MAYBE GENOME EDITING SPECIALIZATION AS WELL AS
11 IMMUNE THERAPY SPECIALIZATION TO EITHER EXPAND WHAT
12 THE NETWORK ALREADY HAS OR TO BRING MORE TO IT.
13 OTHER ASSETS IN TERMS OF EVEN DATABASE AND PATIENT
14 ENGAGEMENT RESOURCES WHICH ARE GOING TO BECOME MORE
15 AND MORE IMPORTANT IN THIS REGULATORY ENVIRONMENT.

16 DR. JUELSGAARD: I APOLOGIZE. THAT WASN'T
17 MY LAST QUESTION. SO THIS IS BACK TO ACCESS AND
18 CAPACITY. SO YOU DON'T SEE PROCESS DEVELOPMENT AND
19 MANUFACTURING AS EITHER AN ACCESS ISSUE OR A
20 CAPACITY ISSUE. THE DEVELOPMENT OF MORE PROCESS
21 DEVELOPMENT AND MANUFACTURING EXPERTISE ASSOCIATED
22 WITH ALPHA CLINICS, THAT DOESN'T SEEM --

23 DR. MILLAN: IT IS, BUT IT IS SOMETHING
24 THAT, I BELIEVE, WE'RE ADDRESSING WITH THE
25 TRANSLATING CENTER WHICH HAS THE PROCESS

1 DEVELOPMENT.

2 DR. JUELSGAARD: THEY DON'T HAVE THE
3 PROCESS DEVELOPMENT STUFF THEMSELVES.

4 DR. MILLAN: CITY OF HOPE IS THEIR PARTNER
5 IN THIS.

6 DR. JUELSGAARD: SO WE'RE BACK TO CITY OF
7 HOPE AS A SINGLE SOURCE. ONE OF THE THINGS THAT I
8 LEARNED A LONG, LONG TIME AGO WAS THAT IT'S
9 PROBLEMATIC TO RELY ON A SINGLE SOURCE.
10 PARTICULARLY IN THE MANUFACTURING PROCESS SCIENCES
11 AREA, YOU NEED TO HAVE A BACKUP OR SOME OTHER
12 RESOURCES.

13 DR. MILLAN: ABSOLUTELY. IN FACT,
14 QUINTILES IS ACTUALLY THE LEAD ON THAT TRANSLATING
15 CENTER. AND THEY STARTED WITH THE CITY OF HOPE, BUT
16 THEY MADE IT VERY, VERY CLEAR THAT WHAT THEY PLAN TO
17 DO IS EXPAND UPON BOTH CAPACITY AND EXPERTISE EITHER
18 THROUGH PARTNERSHIPS OR COLLABORATIONS WITH OTHER
19 COMMERCIAL ENTITIES AND OTHER MANUFACTURING ENTITIES
20 AS WELL AS GMP FACILITIES, ACADEMIC AND NONACADEMIC.

21 CHAIRMAN THOMAS: MARIA, JUST SO THE BOARD
22 UNDERSTANDS THE SUCCESS OF THE ALPHA CLINIC PROGRAM,
23 COULD YOU SPEAK A BIT TO WHEN WE FIRST LAUNCHED THE
24 PROGRAM A COUPLE YEARS AGO HOW MANY TRIALS YOU
25 EXPECTED TO SEE IN THE FIRST YEAR TO 18 MONTHS AND

1 WHERE WE ARE NOW?

2 DR. MILLAN: YES. WE WERE VERY PLEASANTLY
3 SURPRISED BECAUSE, IN KEEPING WITH SOME OF THE
4 COMMENTS THAT RANDY JUST MADE, WE KNEW THAT WE
5 NEEDED SUPPORT FOR STEM CELL CLINICAL TRIALS. WE
6 DIDN'T KNOW WHAT THE VOLUME REALLY WOULD BE, OR WE
7 UNDERSTOOD THAT THERE ARE SPECIALIZED NEEDS OF THIS,
8 FOR THESE TRIALS, AND INITIALLY WE THOUGHT, OKAY,
9 THREE TRIALS WERE GOING TO BE THE ABSOLUTE
10 REQUIREMENT, THREE TOTAL TRIALS, ONE FOR EACH SITE
11 WITHIN THE FIRST YEAR. AND WE WERE REALLY PLEASED
12 TO SEE THAT EVEN WITHIN THE FIRST YEAR WE HAD
13 BETWEEN SIX AND EIGHT THAT WERE BEING SUPPORTED BY A
14 NETWORK, AND THEN IT JUST KIND OF INCREASED MARKEDLY
15 FROM THERE.

16 SO ONCE WE BUILT IT, THEY DID COME IN
17 TERMS OF THE NUMBERS OF TRIAL THAT CAME IN. I THINK
18 THE CITY OF HOPE IN TERMS OF HOW IT REALLY ATTRACTED
19 THOSE INDUSTRY STAKEHOLDERS TO PARTNER WITH THEM AND
20 RUN THEIR MULTICENTER TRIALS OUT OF CITY OF HOPE IS
21 A TESTAMENT TO HOW THIS IS VALUED EVEN BY INDUSTRY
22 STAKEHOLDERS. SO NOT ONLY DID IT SUPPORT
23 INVESTIGATOR-INITIATED TRIALS, BUT IT WAS AN ASSET
24 THAT WAS VIEWED VALUABLE BY INDUSTRY STAKEHOLDERS AS
25 WELL.

1 CHAIRMAN THOMAS: THANK YOU. MR.
2 SUPERVISOR.

3 MR. SHEEHY: I HAD A COUPLE OF SETS OF
4 QUESTIONS. ONE, I'M STILL A LITTLE BIT CONFUSED
5 WITH THE DIALOGUE WITH STEVE BECAUSE I HAD KIND OF
6 THE SAME CONCERNS. I CAN'T REALLY SEE WHAT THE
7 WEIGHTING IS GEOGRAPHICALLY. IT SEEMS LIKE THAT'S A
8 CONSIDERATION, BUT IT'S NOT CLEAR HOW THAT'S GOING
9 TO BE OPERATIONALIZED. AND THEN THERE SEEM TO BE
10 SOME -- I FOUND IT KIND OF AMBIGUOUS. AND, AGAIN, I
11 WANT TO COMMEND YOU FOR WHAT YOU'VE ACCOMPLISHED
12 BECAUSE I WAS ANOTHER HUGE SKEPTIC OF HOW THIS WAS
13 ROLLED OUT. SO CONGRATULATIONS ON JUST LIKE REALLY
14 OPERATIONALIZING THIS AND TURNING THIS INTO A GREAT
15 PROGRAM.

16 ONE OF MY BIG CONCERNS WHEN THIS WAS
17 ROLLED OUT WAS THAT THERE WAS NO MANUFACTURING
18 ASSOCIATED WITH THIS. AND SO I CAN'T TELL, AGAIN,
19 HOW THAT'S GOING TO BE WEIGHTED BECAUSE IT SOUNDS
20 LIKE, WELL, APPLICANTS KIND OF RELY ON QUINTILES,
21 WHICH IS AT SOME POINT GOING TO HAVE OTHER PARTNERS
22 TO MANUFACTURE, BUT RIGHT NOW IT'S ONLY CITY OF
23 HOPE. OR HOW DOES THAT WEIGHT WITH SOMEBODY WHO
24 ACTUALLY HAS MANUFACTURING? SO IT'S ALMOST LIKE A
25 MATRIX OF CONSIDERATIONS, SO IT'S NOT CLEAR HOW

1 THAT'S GOING TO WEIGHT OUT. SO THOSE TWO ISSUES.
2 AND THEN I HAVE ANOTHER QUESTION AFTER THAT.

3 DR. MILLAN: I'LL RESPOND FIRST AND THEN
4 TURN IT OVER. I THINK FOR THE REASONS THAT YOU AND
5 MR. JUELSGAARD HAD RAISED, I BELIEVE THAT THOSE WILL
6 BE CONSIDERATIONS THAT OUR REVIEWERS WILL BE TAKING
7 INTO ACCOUNT BECAUSE, IN TERMS OF THE KEY ISSUES
8 THAT MAKE OR BREAK A CLINICAL DEVELOPMENT PROGRAM,
9 AND, OF COURSE, THE CONDUCT OF TRIALS AND EVENTUAL
10 COMMERCIALIZATION, IT'S OBVIOUS IT'S HAVING A GOOD
11 PROCESS MANUFACTURING TO TAKE YOU TO THE EARLY
12 TRIALS AND BEYOND. SO THAT WILL BE SOMETHING THAT
13 WE BELIEVE WILL BE GIVEN WEIGHT. WE JUST HAVEN'T IN
14 THE CONCEPT FORMALIZED THAT.

15 AND IN TERMS OF GEOGRAPHIC ACCESS, I THINK
16 RANDY HAD RESPONDED EARLIER. I THINK THAT OUR
17 CURRENT NETWORK REALLY DOES DO A GREAT JOB TRYING TO
18 PROVIDE THAT ACCESS, BUT THERE ARE MANY STRONG
19 ACADEMIC CENTERS WITH STEM CELL PROGRAMS THAT ARE
20 GROWING. SO WE BELIEVE THAT IT WILL BE AVAILABLE.

21 NOW, AS WITH ANY REVIEW, IF THERE ARE NO
22 MERITORIOUS PROGRAMS THAT OUR GWG FINDS WILL ADD TO
23 THIS NETWORK, WE DON'T EXPECT THAT THEY WOULD
24 RECOMMEND IT FOR YOUR CONSIDERATION. WE'RE VERY
25 ENCOURAGED BY WHAT WE SEE, THAT WE BELIEVE WE WILL

1 HAVE GOOD APPLICANTS FOR THIS THAT COULD EXPAND BOTH
2 THE GEOGRAPHIC REACH AS WELL AS THE OFFERINGS OF
3 THIS NETWORK.

4 MR. SHEEHY: BUT IT JUST SEEMS TO ME THAT
5 IT WOULD REALLY BENEFIT SOMEONE TO EITHER HAVE A
6 RELATIONSHIP WITH THE NORTHERN CALIFORNIA
7 MANUFACTURER THAT ALIGNS WITH QUINTILES OR FOR THEIR
8 MANUFACTURING FACILITY TO ALIGN WITH QUINTILES PRIOR
9 TO THE SUBMISSION OF THE APPLICATION. THAT'S JUST A
10 REAL-WORLD HYPOTHETICAL THAT COULD PLAY A ROLE IN
11 HOW THIS ALL COMES DOWN.

12 SO I'M JUST CURIOUS. WE SAW A SIMILAR
13 THING WITH THE GENOMICS CENTER. TO OUR NEW
14 COLLEAGUE, WHOEVER ALIGNED AT THE BEGINNING WITH
15 SANTA CRUZ WITH THEIR AMAZING CAPACITY ACTUALLY HAD
16 A HUGE ADVANTAGE IN THAT COMPETITION. SO ARE WE
17 ANTICIPATING THAT SORT OF SITUATION? HOW ARE WE
18 LIKE THINKING AND TALKING ABOUT THAT? ARE WE
19 THINKING ABOUT THAT?

20 DR. MILLAN: AS WE WORK WITH ALL OF OUR
21 GRANTEES AND WE WORK WITH ALL OUR INFRASTRUCTURE
22 PROGRAMS, WE COMMUNICATE WHAT THE ASSETS ARE THAT
23 CIRM HAS FUNDED AND HAS IN PLACE SO THAT ALL THE
24 STAKEHOLDERS ACTUALLY ARE VERY AWARE OF WHAT IS IN
25 PLACE. AND WE'VE DONE THAT THROUGH VARIOUS WAYS

1 THROUGH THE ROADSHOW, THROUGH OUR PARTICIPATION IN
2 THE MEETINGS SUCH AS THAT THAT PAT AND I HAD
3 SUMMARIZED EARLIER. IN THAT WAY THERE IS A LOT OF
4 KIND OF AWARENESS OF WHO THE PLAYERS ARE, WHO'S
5 CURRENTLY IN THE CIRM ECOSYSTEM, AND HOW PEOPLE ARE
6 ENGAGING. AND OUR SCIENCE OFFICERS AS WELL AS OUR
7 OTHER INTERNAL GROUP MEMBERS HELP TO CONNECT PEOPLE
8 WHO HAVE NEEDS TO PEOPLE WHO HAVE POTENTIAL
9 SOLUTIONS. AND SO ORGANICALLY WE DO ANTICIPATE THAT
10 WILL HAPPEN. IT'S JUST NOT SOMETHING THAT WE HAVE
11 FORMALIZED.

12 WE DO ADVISE OUR APPLICANTS OF KIND OF
13 WHAT ARE THE REVIEW CRITERIA, WHAT FEATURES OF THEIR
14 PROGRAM SHOULD BE HIGHLIGHTED, WHAT WOULD BE
15 CONSIDERED STRONG KIND OF FEATURES OF THEIR PLANS OR
16 THEIR PROPOSAL. AND SO WE BELIEVE WE TRY TO DO OUR
17 VERY BEST TO INFORM APPLICANTS SO THEY UNDERSTAND
18 WHAT'S CURRENTLY OUT THERE, WHAT'S CURRENTLY IN
19 CIRM'S WORLD THAT THEY COULD TAKE ADVANTAGE OF AND
20 BUILD UPON TO THEIR ALREADY STRONG PROGRAM.

21 SO I DON'T KNOW IF THAT'S TOO VAGUE, BUT
22 WE DO TALK A LOT ABOUT THESE THINGS.

23 MR. SHEEHY: TWO MORE QUESTIONS.

24 MR. HARRISON: JUST TO INTERJECT BRIEFLY,
25 JEFF. ONE OF THE THINGS WE STRUGGLE WITH SOMETIMES

1 IS THE LEVEL OF DETAIL WE INCLUDE IN THE CONCEPT
2 PLAN, WHICH IS WHAT WE PRESENT TO YOU, THE BOARD,
3 FOR YOUR APPROVAL AND THE RFA ITSELF WHICH INCLUDES
4 THE DIRECTIONS TO THE APPLICANTS, INCLUDING THE
5 CRITERIA THAT THE GWG WILL USE TO EVALUATE THESE
6 APPLICATIONS. IN THIS CASE THE RFA IS QUITE
7 SPECIFIC, THAT GEOGRAPHICAL DIVERSITY, BROADENING
8 THE GEOGRAPHIC REACH OF THE NETWORK IS A REVIEW
9 CONSIDERATION, AS IS AN APPLICANTS'S ABILITY TO ADD
10 TO THE TECHNICAL CAPACITY OF THE NETWORK WHICH WOULD
11 INCLUDE THINGS LIKE MANUFACTURING, ETC.

12 MR. SHEEHY: SO I HAD ONE OTHER QUESTION,
13 TWO OTHER QUESTIONS. SORRY. HOW IS COLLABORATION
14 BEING CONSIDERED? I THINK IT'S INTERESTING THAT
15 UCLA AND UC IRVINE ARE COLLABORATING, AND I THINK
16 THAT THAT'S ACTUALLY VERY POWERFUL. I THINK THERE'S
17 A RELATIONSHIP BETWEEN CITY OF HOPE AND USC, AT
18 LEAST I SEE IT IN A COUPLE OF THINGS. THE WHOLE SAN
19 DIEGO AREA IS VERY COLLABORATIVE. I DON'T KNOW THAT
20 WE ALWAYS PLAY SO NICE WITH EACH OTHER UP HERE. BUT
21 ALSO GIVEN HOW HEALTHCARE IS DELIVERED BECAUSE
22 DIFFERENT SYSTEMS, OBVIOUSLY ACO'S HAVE DIFFERENT
23 PIPELINES, IS THAT SOMETHING THAT WE'RE LOOKING AT
24 AS WELL TO EITHER MAYBE LOOK AT SUGGESTING THAT
25 COLLABORATION WOULD BE AN ADVANTAGE IN THIS

1 PARTICULAR CIRCUMSTANCE? OR IS THAT JUST A
2 SUBJECTIVE ISSUE THAT THE WORKING GROUP MAY OR MAY
3 NOT GIVE VALUE TO?

4 DR. MILLAN: SO THE STRENGTH OF THE ASSETS
5 THAT THE APPLICANT WOULD BRING FORWARD IS SOMETHING
6 THAT'S DEFINITELY A REVIEW CRITERIA. AND IF THOSE
7 ASSETS ARE AUGMENTED OR SUPPLEMENTED OR SYNERGIZED
8 WITH THE COLLABORATIONS IN THE OTHER INSTITUTIONS
9 THAT THEY MAY BRING INTO THE FOLD, THEN THAT IS
10 SOMETHING THAT WOULD BE TAKEN UNDER CONSIDERATION IN
11 TERMS OF THE STRENGTH OF THE PROPOSAL.

12 DR. MILLS: WE'VE EXPERIENCED THIS HERE
13 BEFORE. SO THE TERM "COLLABORATION" BY ITSELF
14 DOESN'T GET YOU ANYTHING. WHAT YOU HAVE TO SHOW IS
15 THAT THE COLLABORATION IS A BENEFICIAL, SYNERGISTIC
16 SOMETHING THAT PROVIDES YOU SOME VALUE ADD. AND THE
17 ONLY REASON I BRING THAT UP IS BECAUSE IT USED TO BE
18 YOU NEED TO COLLABORATE IN ORDER TO GET THE EXTRA
19 TEN POINTS OR WHATEVER. AND WE FOUND THAT SOMETIMES
20 IN SORT OF FORCING COLLABORATIONS WHERE ONE ISN'T
21 NECESSARY, WE DRAMATICALLY SLOW THINGS DOWN. SO IF
22 YOU CAN SHOW IT'S A VALUE ADD, THEN, YEAH, BUT WE'RE
23 NOT MANDATING IT.

24 MR. SHEEHY: MY LAST QUESTION. JUST TO
25 REFRESH, WHAT SCORING SYSTEM WILL BE USED FOR THIS?

1 MR. HARRISON: IT WOULD BE THE SAME
2 SCORING SYSTEM THAT WE USE FOR CLINICAL PROGRAMS, SO
3 A 1, 2, OR A 3.

4 MR. SHEEHY: THAT'S WHAT I THOUGHT, BUT I
5 JUST WANTED TO BE CLEAR ON THAT.

6 CHAIRMAN THOMAS: OTHER QUESTIONS FROM
7 MEMBERS OF THE BOARD? PUBLIC COMMENT? MR. REED.

8 MR. REED: JUST A COMMENT, THAT PAUL
9 KNOEPFLER, THE GREAT SCIENTIST BLOGGER OF UC DAVIS,
10 SAID THAT ONE OF THE MAJOR PROBLEMS THAT CIRM FACES
11 IS THE OUTGROWTH OF THE DISREPUTABLE COWBOY CLINICS
12 THAT ARE DOING ALLEGED STEM CELL PROGRAMS AND THEY
13 DON'T HAVE ANYBODY TO BALANCE THEM. I THINK THIS IS
14 A TREMENDOUS IDEA OF CIRM'S, TO HAVE A STANDARD TO
15 HOLD. IF YOU ARE A LEGITIMATE CLINICAL TRIAL, THESE
16 ARE THE THINGS YOU HAVE TO DO. DO YOU HAVE THEM? I
17 THINK THIS WOULD BE A TREMENDOUS HELP TO LET THE
18 PUBLIC SEE WHAT IS VALID AND WHAT IS NOT.

19 CHAIRMAN THOMAS: THANK YOU, MR. REED.
20 DR. CHIU.

21 DR. CHIU: MEMBERS OF THE BOARD, MEMBERS
22 OF CIRM, AND MEMBERS OF THE PUBLIC, I'M ARLENE CHIU
23 FROM THE CITY OF HOPE. AND I WANTED TO MAKE A PLUG
24 AND A COMMENT. THE PLUG IS THAT FOR THOSE OF YOU
25 INTERESTED TO HEAR MORE ABOUT THE ACTIVITIES OF THE

1 ALPHA CLINIC NETWORK, THE SECOND ANNUAL SYMPOSIUM ON
2 THE NETWORK WILL BE HELD AT CITY OF HOPE ON MARCH
3 23D. AND I HAVE SOME ANNOUNCEMENTS. IF YOU WOULD
4 REGISTER, PLEASE ATTEND BECAUSE I THINK YOU WILL
5 FIND A LOT OF ANSWERS TO SOME OF THE QUESTIONS THAT
6 HAVE BEEN BROUGHT UP. SO THAT'S THE PLUG.

7 IN TERMS OF THE COMMENT, IN THE BEGINNING,
8 WHEN CITY OF HOPE PUT IN AN APPLICATION, IT WAS ALSO
9 VERY UNCLEAR WHAT CITY OF HOPE COULD PROVIDE. AND
10 THAT SORT OF GELLED MORE AND MORE AS THE PROCESS
11 TOOK PLACE. I'M NOT SAYING THIS HAPPENS EVERY TIME.
12 BUT WHAT HAPPENED WAS A CONFLUENCE OF IN-HOUSE
13 ACTIVITIES ON THE CAR T TRIALS, WHICH ARE NOW
14 BLOSSOMING, AS WELL AS OTHER ACTIVITIES WITH THE
15 MANUFACTURING PROCESS, AND COLLABORATIONS WITH
16 INSTITUTIONS WITHIN CALIFORNIA AND OUTSIDE. AND IT
17 SORT OF BUILT CITY OF HOPE TO ITS POSITION NOW THAT
18 IT DIDN'T HAVE MAYBE FIVE, EIGHT YEARS AGO.

19 SO THIS SORT OF STIMULUS IS AN EXERCISE IN
20 BUILDING. I'M NOT SAYING THAT EVERY ALPHA CLINIC
21 WILL HAVE THE SAME PATHWAY. BUT THE NETWORKING AND
22 THE COLLABORATION AND HELPING EACH OTHER MANEUVER
23 THROUGH FDA, ETC., MANUFACTURING PROCESSES, I THINK
24 IS VERY BENEFICIAL IN LEVERAGING.

25 I JUST WANT TO POINT OUT ONE LAST THING,

1 AND THAT IS CITY OF HOPE WAS POISED TO DO A LOT OF
2 CAR T IMMUNOTHERAPY TRIALS ANYWAY, BUT HAVING THE
3 ALPHA CLINIC REALLY GELLED IT AND MADE PARTNERS FIND
4 IT VERY ATTRACTIVE TO PARTNER WITH CITY OF HOPE.

5 AND AS CHAIRMAN THOMAS AND I BOTH ATTENDED
6 THIS JAPANESE MEETING IN NOVEMBER LAST YEAR, WHAT
7 ASTONISHED ME WAS A PRESENTATION THAT TALKED ABOUT
8 CAR T TRIALS IN THE WORLD. AND THE PRESENTERS SAID,
9 FROM JAPAN SAID THERE'S ONE CAR T TRIAL ONGOING IN
10 JAPAN, 18 IN CHINA, I BELIEVE SIX OR SEVEN OR MAYBE
11 FIVE IN AUSTRALIA, EIGHT IN ALL OF EUROPE, AND 48 IN
12 THE UNITED STATES. I DIDN'T DO THE RESEARCH, BUT
13 THAT'S WHAT THEY SAID. OF THE 48, NINE OF THEM ARE
14 BEING DONE AT THE CITY OF HOPE.

15 SO I THINK THIS SORT OF GROUND SWELL IS
16 GOING TO BE VERY HELPFUL, AND IT WILL MAKE THE STATE
17 OF CALIFORNIA, AS IT IS TODAY, ONE OF THE
18 OUTSTANDING CENTERS WHERE EVERYTHING IS TRANSLATED
19 ALL THE WAY INTO CLINICAL TRIALS. THANK YOU.

20 CHAIRMAN THOMAS: THANK YOU.

21 DR. DIXON: VERY NICELY SAID.

22 MR. TORRES: WHERE IS THE TRIAL IN JAPAN?

23 DR. CHIU: UNIVERSITY OF TOKYO MEDICAL
24 CENTER.

25 CHAIRMAN THOMAS: OTHER PUBLIC COMMENT?

1 HEARING NONE, DO I HEAR A MOTION TO APPROVE THIS
2 MATTER?

3 DR. DEAS: SO MOVED.

4 MR. SHEEHY: SECOND.

5 CHAIRMAN THOMAS: MOVED BY DR. DEAS,
6 SECONDED BY SUPERVISOR SHEEHY. ANY OTHER BOARD
7 MEMBER COMMENT BEFORE WE PROCEED TO VOTE? HEARING
8 NONE, MARIA, PLEASE CALL THE ROLL.

9 MS. BONNEVILLE: GEORGE BLUMENTHAL.

10 DR. BLUMENTHAL: YES.

11 MS. BONNEVILLE: LINDA BOXER.

12 DR. BOXER: YES.

13 MS. BONNEVILLE: KEN BURTIS.

14 DR. BURTIS: YES.

15 MS. BONNEVILLE: DEBORAH DEAS.

16 DR. DEAS: YES.

17 MS. BONNEVILLE: JACK DIXON.

18 DR. DIXON: YES.

19 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

20 DR. DULIEGE: YES.

21 MS. BONNEVILLE: HOWARD FEDEROFF.

22 DR. FEDEROFF: YES.

23 MS. BONNEVILLE: ELIZABETH FINI.

24 DR. FINI: YES.

25 MS. BONNEVILLE: JUDY GASSON.

1 DR. GASSON: YES.
2 MS. BONNEVILLE: DAVID HIGGINS.
3 DR. HIGGINS: YES.
4 MS. BONNEVILLE: STEPHEN JUELSGAARD.
5 MR. JUELSGAARD: YES.
6 MS. BONNEVILLE: KATHY LAPORTE.
7 DR. LAPORTE: YES.
8 MS. BONNEVILLE: BERT LUBIN. SHLOMO
9 MELMED.
10 DR. MELMED: YES.
11 MS. BONNEVILLE: LAUREN MILLER.
12 MS. MILLER: YES.
13 MS. BONNEVILLE: ADRIANA PADILLA.
14 DR. PADILLA: YES.
15 MS. BONNEVILLE: JOE PANETTA.
16 MR. PANETTA: YES.
17 MS. BONNEVILLE: FRANCISCO PRIETO.
18 DR. PRIETO: AYE.
19 MS. BONNEVILLE: ROBERT QUINT.
20 DR. QUINT: YES.
21 MS. BONNEVILLE: AL ROWLETT.
22 MR. ROWLETT: YES.
23 MS. BONNEVILLE: JEFF SHEEHY.
24 MR. SHEEHY: YES.
25 MS. BONNEVILLE: OSWALD STEWARD.

1 DR. STEWARD: YES.
2 MS. BONNEVILLE: JONATHAN THOMAS.
3 CHAIRMAN THOMAS: YES.
4 MS. BONNEVILLE: ART TORRES.
5 MR. TORRES: AYE.
6 MS. BONNEVILLE: KRISTINA VUORI.
7 DR. VUORI: YES.
8 MS. BONNEVILLE: DIANE WINOKUR. BRUCE
9 WINTRAUB.
10 DR. WINTRAUB: YES.
11 MS. BONNEVILLE: SHERRY LANSING.
12 MOTION CARRIES.
13 CHAIRMAN THOMAS: THANK YOU VERY MUCH. ON
14 TO ITEM NO. 10. THANK YOU, DR. MILLAN, AND
15 CONGRATULATIONS ON WHAT IS A VERY SUCCESSFUL PROGRAM
16 THAT WILL ONLY BECOME MORE SO AND WILL BE YET
17 ANOTHER LEGACY OF CIRM GOING FORWARD.
18 ITEM NO. 10, CONSIDERATION OF AMENDMENTS
19 TO THE DISCOVERY, TRANSLATION, AND CLINICAL CONCEPT
20 PLANS. DR. SAMBRANO.
21 DR. SAMBRANO: THANK YOU, MR. CHAIRMAN.
22 SO WE'RE BRINGING TO YOU FOR YOUR CONSIDERATION
23 SEVERAL AMENDMENTS THAT SPAN MANY OF OUR PROGRAM
24 ANNOUNCEMENTS FROM CLIN TO TRAN TO DISCOVERY. AND
25 WE DO PERIODICALLY COME TO YOU TO REQUEST SOME

1 UPDATES IN ORDER TO REALLY MAKE SURE WE'RE REFINING,
2 IMPROVING, AND PROVIDING CLARITY WHERE IT'S
3 NECESSARY. AND OUR GOAL ALWAYS IS TO TRY TO MAKE
4 SURE THAT WE ARE ALIGNED WITH OUR MISSION. AND SO
5 THAT'S WHAT WE ARE TRYING TO DO TODAY.

6 WE HAVE HAD SOME OF THESE OR MOST OF THESE
7 AMENDMENTS REVIEWED BE THE SCIENCE SUBCOMMITTEE.
8 THERE WERE TWO ITEMS THAT HAD QUESTIONS FROM THE
9 SUBCOMMITTEE FOR WHICH WE ARE BRINGING ADDITIONAL
10 INFORMATION AND DATA. SO WE THANK THE SUBCOMMITTEE
11 FOR PROVIDING US IMPORTANT AND USEFUL FEEDBACK. AND
12 THEN WE'VE ALSO ADDED A COUPLE OF ITEMS WHICH ARE
13 ALSO DETAILED IN THE MEMO THAT WE PROVIDED THAT
14 OUTLINES ALL THE CHANGES THAT WE INTEND TO DO. AS I
15 GO THROUGH, PLEASE FEEL FREE TO HAVE ME PAUSE IF YOU
16 HAVE QUESTIONS ABOUT THESE. THERE ARE QUITE A FEW.

17 I WANT TO START WITH A COUPLE OF ITEMS
18 THAT ARE INTENDED TO PROVIDE CLARIFICATION. THE
19 FIRST ONE IS THAT OF DETERMINING ELIGIBILITY. AND
20 HERE WE SIMPLY WANT TO EXPLICITLY STATE THAT CIRM'S
21 AUTHORITY TO MAKE AN ELIGIBILITY DETERMINATION,
22 EXCEPT WITH RESPECT TO SOME OF THE SUBJECTIVE
23 CRITERIA THAT ARE FOUND IN THE CLINICAL PROGRAM,
24 EXIST UP UNTIL THE TIME OF THE CONTRACT EXECUTION;
25 THAT IS, FROM THE TIME WE RECEIVE AN APPLICATION

1 UNTIL THE TIME THAT THE AWARD IS MADE FOLLOWING THE
2 CONTRACTING PHASE.

3 NOW, WE NORMALLY CONDUCT AN INITIAL
4 ELIGIBILITY REVIEW WHEN APPLICATIONS COME IN. SO
5 MOST ITEMS ARE IDENTIFIED AT THAT TIME, AND WE DEAL
6 WITH MOST ISSUES THEN. BUT ON OCCASION, ESPECIALLY
7 ONCE WE GET TO THE TIME OF CONTRACTING, WHEN WE ARE
8 INTERACTING WITH THE APPLICANT, WE LEARN OF NEW
9 INFORMATION OR INFORMATION THAT MIGHT DEEM AN
10 APPLICATION INELIGIBLE. SO IN SUCH CASES WE WOULD
11 LIKE TO EXERCISE OUR ABILITY TO DECLARE AN
12 APPLICATION INELIGIBLE.

13 NOW, THIS WOULD APPLY PROSPECTIVELY TO
14 AWARDS APPROVED FROM TODAY GOING FORWARD. OF
15 COURSE, IN THE CASE WHERE WE RUN INTO A SITUATION
16 WHERE AN APPLICATION HAS BEEN APPROVED BY THE
17 APPLICATION REVIEW SUBCOMMITTEE, WE WILL INFORM THE
18 SUBCOMMITTEE IF WE EXERCISE THAT AUTHORITY ON ANY
19 AWARD. SO THAT'S THE FIRST ONE.

20 MR. SHEEHY: WHAT? YOU'RE TALKING ABOUT
21 BASICALLY THE TEAM WILL DISAPPROVE APPLICATIONS THAT
22 WE VOTED FOR?

23 DR. SAMBRANO: IT MEANS WE WOULD --

24 MR. SHEEHY: SO YOU'LL REEVALUATE.

25 DR. SAMBRANO: -- DEEM THEM INELIGIBLE.

1 MR. SHEEHY: SO YOU'RE SAYING -- SO WE
2 VOTE AN AWARD AND THEN YOU'LL DECIDE THAT THAT AWARD
3 IS NOT --

4 DR. MILLS: SO A LOT OF WHAT WE'VE HAD TO
5 DO IN ORDER TO GET THE TIME DONE IS WHAT WE CALL
6 JUST-IN-TIME DOCUMENTS. SO WE HAVE ELIGIBILITY
7 CRITERIA THAT SAYS YOU HAVE TO HAVE A, B, C, AND D.
8 AND THEY CERTIFY TO US THAT A, B, C, AND D ARE REAL,
9 AND THEY WILL PROVIDE THAT WITH WHAT WE CALL
10 JUST-IN-TIME, JIT, DOCUMENTS TO BE PROVIDED LATER.

11 IF WE WERE TO GO BACK TO THE OLD WAY, WE
12 WOULD PUT THAT IN FRONT, AND WE WOULD REQUIRE THEY
13 DEMONSTRATE, THEY PROVE TO US A, B, C, AND D ARE
14 REAL. WE WILL EVALUATE ALL OF THAT, THROW THEM OUT,
15 THEY NEVER GET REVIEWED, NEVER COMES. SO WHAT WE DO
16 IS WE FLIP THIS AROUND AND SAY WE'RE ONLY GOING TO
17 DO THAT, BECAUSE, AGAIN, IF YOU LOOK AT THE MINORITY
18 OF THINGS THAT MAKE IT THROUGH ALL THE WAY TO THE
19 FUNDING STAGE, WHEN WE ASK FOR THAT CONFIRMATORY
20 ELIGIBILITY CRITERIA AT THE END, IF THEY HAVE
21 MISREPRESENTED SOMETHING THAT'S NOT A REVIEW
22 CRITERIA, IT'S NOT SUBJECTIVE, THIS ISN'T ANY OF
23 THAT, THIS IS ABSOLUTE IT WOULDN'T GO TO THE GWG, IT
24 THEREFORE WOULDN'T GO TO THE BOARD HAD THEY BEEN
25 TRUTHFUL IN THAT, YES, WE WANT THE ABILITY TO NOT

1 MAKE REVIEW. ACTUALLY WE JUST WANT IT EXPLICITLY
2 STATED THAT WE CANNOT MAKE THAT AWARD THEN, WHICH WE
3 ALREADY HAVE THE ABILITY TO DO ON THE FRONT END.

4 MR. SHEEHY: SO A COUPLE OF THINGS.
5 ONE -- THIS IS ALWAYS MY FAVORITE THING. HAS THIS
6 HAPPENED? IS THIS A HYPOTHETICAL?

7 DR. MILLS: NO, IT'S NOT A HYPOTHETICAL.
8 YES, IT'S HAPPENED.

9 MR. SHEEHY: WELL, WHAT HAPPENED? WHEN
10 DID IT HAPPEN? CAN WE HAVE A DISCUSSION OF THAT?
11 WE VOTED TO FUND SOMETHING, AND NOW SOMETHING HAS
12 BEEN UNFUNDED.

13 DR. MILLS: I'M NOT SAYING IT'S BEEN
14 UNFUNDED. WE HAVE UNCOVERED THINGS WHERE THEY DON'T
15 MEET ELIGIBILITY CRITERIA.

16 MR. HARRISON: SO TO BE CLEAR, WE HAVE
17 NEVER TERMINATED AN AWARD AFTER THE BOARD HAS
18 APPROVED IT. WHAT WE'VE TRIED TO DO IN
19 CIRCUMSTANCES WHERE WE HAVE RECEIVED INFORMATION
20 AFTER THE BOARD HAS APPROVED THE AWARD, INDICATING
21 THAT THE PROJECT WOULDN'T HAVE BEEN ELIGIBLE IN THE
22 FIRST PLACE, IS TO USE OUR AUTHORITY TO SET
23 MILESTONES TO TRY TO GET THE PROJECT ON THE
24 APPROPRIATE TRACK. BUT, FOR EXAMPLE, WE HAD AN
25 INSTANCE IN WHICH AN INVESTIGATOR ON A DISCOVERY

1 AWARD ULTIMATELY WE DISCOVERED INTENDED TO USE A
2 MOUSE MODEL RATHER THAN A HUMAN MODEL. HAD WE HAD
3 THAT INFORMATION AT THE OUTSET, THE APPLICATION
4 WOULD HAVE NOT BEEN ELIGIBLE FOR FUNDING.

5 LIKewise, FOR EXAMPLE, IF AN APPLICANT
6 PROPOSES TO USE A CELL LINE, SAYS HE OR SHE HAS THE
7 APPROPRIATE CONSENTS, AND THEN IN THE JUST-IN-TIME
8 PHASE WE DISCOVER THAT THE CONSENTS ARE NOT
9 APPROPRIATE FOR COMMERCIAL DEVELOPMENT, THEN THAT
10 CANDIDATE CELL LINE IS REALLY OF NO USE. SO, AGAIN,
11 WE HAVE TO USE OUR MILESTONE AUTHORITY TO TRY TO GET
12 THE PROJECT ON TRACK.

13 SO THOSE ARE TWO INSTANCES IN WHICH WE'VE
14 USED OUR MILESTONE AUTHORITY RATHER THAN EITHER
15 COMING BACK TO YOU OR EXERCISING AUTHORITY THAT WE
16 DIDN'T FEEL THAT WE HAD TO REFRAIN FROM EXECUTING A
17 CONTRACT UNDER THOSE CIRCUMSTANCES.

18 MR. SHEEHY: HAVE YOU JUST THOUGHT ABOUT
19 COMING BACK TO US?

20 DR. MILLS: YEAH. I THINK THE ISSUE,
21 THOUGH, IS IT'S NOT -- IT WOULDN'T BE A BOARD ISSUE.
22 IT'S NOT A BOARD LEVEL DECISION. IT'S AN
23 ELIGIBILITY DECISION WHICH IS AT THE OPERATIONAL
24 LEVEL. AND THOSE ARE THINGS THAT WE'VE FLIPPED AND
25 WE'VE MOVED AROUND A LITTLE BIT FOR THE SAKE OF

1 EFFICIENCY. BUT THESE ARE NOT -- WE DON'T COME TO
2 THE BOARD EVERY TIME WE MAKE AN ELIGIBILITY
3 DETERMINATION THAT AN APPLICATION IS INELIGIBLE.
4 JUST BECAUSE WE FIND THAT OUT LATER IN THE PROCESS
5 SHOULDN'T CHANGE THAT.

6 DR. DEAS: I UNDERSTAND --

7 DR. DIXON: COULD I ASK A QUESTION ABOUT
8 THE MIX-UP WITH THE MOUSE MODEL VERSUS THE HUMAN
9 MODEL? THAT'S A LITTLE HARD TO CONCEPTUALIZE. HOW
10 COULD ONE MISS SUCH A THING?

11 DR. SAMBRANO: I CAN ADDRESS THAT. IT'S
12 IN THE PROPOSAL ITSELF. IT ACTUALLY HAS TO DO WITH
13 THE VAGUENESS OF THE LANGUAGE. IN SOME CASES IN THE
14 PAST, WE'VE GOTTEN PROPOSALS THAT DON'T SPECIFICALLY
15 STATE THEY ARE USING EITHER A HUMAN CELL LINE OR
16 HUMAN CELLS. THEY JUST SAY WE ARE USING THIS TYPE
17 OF STEM CELL. AND IT WAS UNDER THE ASSUMPTION BY
18 EVERYBODY, INCLUDING REVIEWERS, THAT THEY WERE GOING
19 TO UTILIZE THE HUMAN ONE SINCE THAT WAS AN
20 ELIGIBILITY CRITERIA TO BEGIN WITH.

21 ONCE WE GOT STARTED WITH THE PROJECT, WE
22 LEARNED THAT THEIR INTENTION WAS ACTUALLY TO USE
23 MOUSE CELLS RATHER THAN HUMAN.

24 CHAIRMAN THOMAS: DR. DEAS, I'M SORRY.
25 YOU HAD A QUESTION.

1 DR. DEAS: NOT SO MUCH A QUESTION, BUT A
2 COMMENT. I UNDERSTAND THE SITUATION, WHEN PROPOSALS
3 ARE INELIGIBLE, THAT YOU MAKE THAT DETERMINATION AND
4 IT DOESN'T INVOLVE THE BOARD. HOWEVER, I'M IN
5 AGREEMENT WITH JEFF. IF THE PROPOSAL COMES TO THE
6 BOARD, THE BOARD APPROVES THE PROPOSAL, THEN IT GOES
7 BACK AND YOU FIND OUT SOMETHING THAT MAKES IT
8 INELIGIBLE, I GET THAT. I DON'T GET NOT INFORMING
9 THE BOARD, NOT THAT IT'S A BOARD DECISION AGAIN, BUT
10 I THINK THE PUBLIC OPTICS ARE NOT REALLY GOOD
11 BECAUSE IT IS A PUBLIC MEETING. THE PUBLIC HEARS
12 THAT IT'S APPROVED BY THE BOARD. SOME PEOPLE HERE
13 FROM THE PUBLIC MAY EVEN KNOW WHO THAT APPLICATION
14 IS FROM OR WHAT INSTITUTION. THERE MAY BE SOME
15 STATEMENT TO THE INSTITUTION THAT I WAS AT THE BOARD
16 MEETING, I UNDERSTAND THAT YOUR APPLICATION IS
17 APPROVED; THEREFORE, I DON'T THINK THE OPTICS ARE
18 GOOD.

19 DR. MILLS: AGAIN, ON THAT SPECIFIC ISSUE,
20 I THINK IT MIGHT HAVE BEEN LOST, BUT SPECIFICALLY WE
21 ARE STATING THAT CIRM WILL INFORM THE APPLICATION
22 REVIEW SUBCOMMITTEE, WHICH IS ESSENTIALLY THE BOARD,
23 IF WE EVER EXERCISE. IF WE EVER DO IT, WE WILL COME
24 BACK AND MAKE IT CLEAR THAT WE'VE DONE IT.

25 MR. SHEEHY: I GUESS MY CONCERN IS THAT

1 THE BOARD HAS TAKEN ACTION. AND SO HAVING AN ACTION
2 OF THE BOARD REVOKED, EVEN OVER AN ISSUE THAT MAY
3 NORMALLY FALL WITHIN THE SCOPE OF ADMINISTRATION,
4 STILL THE BOARD CAN'T HAVE AN ACTION THAT IT'S TAKEN
5 REVERSED BY ADMINISTRATION. JUST AS A PRINCIPLE OF
6 GOVERNANCE FOR ME, I'M TROUBLED BY THAT.

7 THE SECOND THING IS, AND THIS HAS COME UP
8 BECAUSE WE'VE HAD VARIOUS ISSUES OVER THE YEARS, AND
9 I STILL REMEMBER, AND I CANNOT REMEMBER WHO IT WAS,
10 IT MIGHT HAVE BEEN MICHAEL FRIEDMAN, BUT ONE OF OUR
11 ESTEEMED ACADEMICS, EXPLAINING IT'S OUR JOB TO TAKE
12 THE HEAT. I DON'T THINK PEOPLE ARE GOING TO BE
13 THRILLED TO HEAR THAT SOMETHING THAT THE BOARD VOTED
14 FOR IS NOT GOING TO HAPPEN. USUALLY OUR AWARDS
15 OFTEN INVOLVE SUBSTANTIAL AMOUNTS OF MONEY, THINGS
16 ARE GOING ON. AND SO WHY ON EARTH WOULD
17 ADMINISTRATION WANT TO BEAR THE BURDEN OF TAKING
18 SOMEBODY'S GRANT AWAY WHEN REALLY WE'RE THE ONES --
19 IT'S KIND OF OUR JOB TO GET YELLED AT WHEN PEOPLE
20 ARE UNHAPPY ABOUT THIS.

21 YOU'RE JUST PUTTING ON YOURSELF -- YOU'RE
22 KIND OF LOSING SOME OF THE NEUTRALITY YOU HAVE
23 THROUGH THE GRANTS WORKING GROUP AND THEN THE
24 APPLICATION REVIEW AND THE BOARD MAKING DECISIONS ON
25 FUNDING. YOU'VE NOW PUT YOURSELF INTO THAT CHAIN.

1 SO THOSE ARE MY TWO CONCERNS.

2 DR. MILLS: SO IF YOU LOOKED AT THE
3 NUMBERS THAT I PUT UP, 80 PERCENT OF WHAT WE DO IS
4 SAY NO. WE ARE OKAY SAYING NO.

5 THE OTHER THING IS WE MAKE ELIGIBILITY
6 DETERMINATIONS ALL THE TIME ON OBJECTIVE CRITERIA,
7 AND WE ARE OKAY DOING THAT. IF WE GET FLAK FOR
8 DOING THAT, WE'RE OKAY WITH THAT. WE NEVER DO IT
9 SUBJECTIVELY. AND THERE ARE APPEAL MECHANISMS IF
10 SOMEBODY THINKS THAT WE DO. BUT WHAT WE'VE DONE IN
11 THIS CASE IS, IN AN EFFORT TO TRY TO MAKE A MUCH
12 MORE EFFICIENT PROCESS BY NOT RUNNING OUT THIS VERY
13 ELABORATE ELIGIBILITY SCREENING, WHICH, IF WE RAN ON
14 EVERY SINGLE APPLICATION WE POSSIBLY GOT, WOULD TAKE
15 AN ENORMOUS AMOUNT OF TIME AND SLOW IT DOWN.

16 WE'VE SAID WE'LL DO THAT FINAL VETTING ON
17 ONLY THOSE THAT THE GWG SETS FORTH AS RECOMMENDED.
18 AND IF THAT FAILS, WELL, THAT FAILS JUST LIKE IT
19 WOULD IF WE WOULD HAVE WASTED THE TIME AND DONE IT
20 ON THE FRONT END FOR ALL OF THE OTHER APPLICATIONS
21 THAT HAVE NO CHANCE OF DOING IT. SO THIS IS REALLY
22 A MATTER OF EFFICIENCY. WE CAN DO IT. WE CAN JUST
23 GO BACK TO THE WAY IT WAS AND SCREEN ALL THESE OUT
24 ON THE FRONT END. IT WILL JUST TAKE LONGER.

25 MR. SHEEHY: WITH ALL DUE RESPECT, THIS IS

1 WHAT DRIVES ME NUTS. YOU'RE GIVING ME THE WRONG
2 CHOICES. IT'S NOT LIKE I'M ASKING THAT YOU GO BACK
3 AND DO IT THE OLD WAY. I'M JUST ASKING THAT YOU LET
4 US DO OUR JOB AT THE END. SO YOU DON'T HAVE TO DO
5 ANYTHING ELSE. THE ONLY ADDITIONAL STEP YOU HAVE TO
6 DO IS SAY WE FOUND THAT THIS PROJECT WAS INELIGIBLE,
7 PUT IT ON THE BOARD AGENDA, HAVE US VOTE TO TAKE
8 AWAY THEIR MONEY. I THINK YOU COULD DO EVERYTHING
9 THE WAY YOU'VE BEEN DOING IT, BUT THAT LAST STEP OF
10 UNDOING AN ACTION BY THIS BOARD SHOULD COME TO THIS
11 BOARD TO BE UNDONE.

12 AND, FRANKLY, I THINK THAT WOULD BE
13 INFORMATIVE TO POTENTIAL GRANTEES BECAUSE THEY WOULD
14 THEN KNOW -- FIRST OF ALL, THEY WOULD KNOW THAT THEY
15 COULD LOSE THEIR GRANTS IF THEY MISREPRESENTED OR
16 WERE SLOPPY IN THE PREPARATION OF THEIR GRANTS IN
17 TERMS OF ALIGNING WITH OUR ELIGIBILITY REQUIREMENTS.
18 OBVIOUSLY IT'S EITHER INTENTIONAL OR UNINTENTIONAL
19 THAT THESE PROJECTS WOULD GET THIS FAR DOWN THE
20 ROAD. AND SO PEOPLE WOULD KNOW, HEY, WE REALLY TAKE
21 THESE SERIOUSLY. IF IT HAPPENS OFF CAMERA, THEN
22 YOU'RE REALLY NOT GETTING -- IT ACTUALLY FROM MY
23 PERSPECTIVE MIGHT BE MORE EFFICIENT TO BRING IT TO
24 THE BOARD BECAUSE IT REQUIRES LITTLE EXTRA WORK ON
25 BEHALF OF THE TEAM, BUT YOU WOULD BE SENDING A CLEAR

1 SIGNAL TO FOLKS WHO ARE COMING IN TO APPLY, THAT IF
2 YOU DON'T DO THIS RIGHT, THEN YOU CAN HAVE YOUR
3 AWARD TAKEN AWAY AT THE ELEVENTH HOUR. AND IT
4 ACTUALLY MIGHT SAVE YOU TIME AND MAKE YOUR PROCESSES
5 MORE EFFICIENT.

6 DR. DEAS: THAT WAS MY EXACT POINT, THAT
7 IT ADDRESSES THE GRANTEE, IT ADDRESSES THE BOARD,
8 AND IT ADDRESSES THE PUBLIC OPTICS.

9 DR. MILLS: SO LET ME PROVIDE THE EXACT
10 REVERSE OF THAT. HAVING SORT OF LIVED HOW A LOT OF
11 APPLICATIONS SORT OF APPROACH THIS IS WHAT YOU'VE
12 DONE IS, INSTEAD OF SAYING IF YOU DON'T HAVE THIS
13 CRITERIA, YOU WILL NOT BE ELIGIBLE, YOU'VE NOW
14 TURNED IT AROUND AND SAID IF YOU DON'T MEET THIS
15 VERY OBJECTIVE CRITERIA, YOU MAY NOT BE ELIGIBLE.
16 THAT'S THE CHANGE. AND SO I THINK IT'S A MUCH
17 STRONGER MESSAGE TO SAY DON'T APPLY IF YOU DON'T
18 MEET THESE ELIGIBILITY CRITERIA OR IF YOU'RE NOT
19 TRUTHFUL VERSUS TRY IT, CHALLENGE IT. MAYBE YOU'LL
20 GET THROUGH THE FIRST SCREENING, MAYBE YOU GET TO
21 THE BOARD, THE GWG, YOU GET APPROVED, YOU GET CAUGHT
22 LATER, AND YOU CAN PLEAD YOUR CASE TO THE BOARD. IT
23 GOES FROM A CERTAINTY TO A MAYBE.

24 MR. SHEEHY: BUT SUCH LITTLE FAITH IN US.
25 WHAT YOU'RE BASICALLY SAYING IS THAT WE WOULD LOOK

1 AT OBJECTIVE CRITERIA THAT WE'VE ESTABLISHED AND WE
2 WOULD REVERSE THOSE CRITERIA BASED ON -- I JUST --
3 THAT'S HARD.

4 DR. MILLS: I LOOK AT IT AND SAY IF IT'S
5 OBJECTIVE CRITERIA, IT WAS DEBATED AND ACCEPTED IN
6 THE CONCEPT PLAN PERIOD, AND WE JUST GO AND EXECUTE
7 OUR WORK. WE'RE NOT TALKING ABOUT SUBJECTIVE
8 DECISIONS. I THINK WHAT WE'RE TRYING TO SAY IS WE
9 DON'T WANT TO TAKE AN OBJECTIVE DECISION AND MAKE IT
10 A SUBJECTIVE DECISION.

11 CHAIRMAN THOMAS: SENATOR TORRES, MR.
12 JUELSGAARD, AND THEN DR. STEWARD, DAVID AS WELL.

13 MR. TORRES: JAMES, IN PREVIOUS ISSUES NOT
14 RELATED TO ELIGIBILITY, WHEN A PROJECT DOES NOT
15 REACH A MILESTONE, WHAT'S BEEN THE PROCESS THAT
16 WE'VE USED AT THAT POINT? WE STOP THE FUNDS FROM
17 TRANSFERRING?

18 MR. HARRISON: SO IT DEPENDS ON THE
19 CIRCUMSTANCES. BUT AS A RULE, CIRM HAS THE
20 AUTHORITY. IN THE CLIN PROGRAMS, FOR EXAMPLE, IF A
21 MILESTONE HASN'T BEEN MET MORE THAN FOUR MONTHS
22 AFTER IT WAS SUPPOSED TO HAVE ACHIEVED, TO TERMINATE
23 THE AWARD. OBVIOUSLY IF THE MILESTONE HASN'T BEEN
24 MET, WE WON'T BE DISBURSING ANY ADDITIONAL FUNDS.
25 BUT IF IT APPEARS TO CIRM THAT THE AWARDEE CANNOT

1 COMPLETE THE PROJECT IN A TIMELY MANNER BECAUSE OF A
2 DELAY OR OTHER PROBLEMS, THEN CIRM HAS THE POWER
3 UNDER THE GRANTS ADMINISTRATION POLICY TO TERMINATE
4 AFTER FOUR MONTHS.

5 MR. TORRES: SO THE PRECEDENCE ON THAT
6 ISSUE HAS BEEN NOT TO BRING THAT BACK TO THE BOARD?

7 MR. HARRISON: CORRECT. THAT'S THE POWER
8 THAT THE BOARD HAS DELEGATED TO THE CIRM TEAM. WE
9 REPORT IT TO THE BOARD WHEN THAT OCCURS, BUT WE
10 EXERCISE THAT AUTHORITY.

11 MR. TORRES: HOW IS THIS ISSUE DISTINCT IN
12 PROCESS FROM WHAT I'VE STATED?

13 MR. HARRISON: THIS IS AT THE FRONT END.
14 SO AS DR. SAMBRANO AND DR. MILLS HAVE EXPLAINED,
15 WHEN AN APPLICATION COMES IN IN THE FIRST INSTANCE,
16 BEFORE WE EVEN ASSIGN REVIEWERS, THE REVIEW TEAM
17 REVIEWS THE APPLICATION AGAINST THE ELIGIBILITY
18 CRITERIA ESTABLISHED BY THE BOARD THROUGH CONCEPT
19 PLANS. AND IF WE DETERMINE THAT AN APPLICANT HAS
20 NOT SATISFIED THOSE ELIGIBILITY CRITERIA, THEN WE
21 DISCONTINUE ALL FURTHER WORK ON THAT APPLICATION AND
22 NOTIFY THE APPLICANT.

23 WHAT WE'RE DESCRIBING HERE IS A SET OF
24 CIRCUMSTANCES WHERE WE DON'T DISCOVER THE
25 ELIGIBILITY ISSUE UNTIL AFTER THE GWG REVIEW AND THE

1 BOARD APPROVAL. AND THIS WILL OFTEN HAPPEN IN THE
2 CONTEXT ACTUALLY OF ESTABLISHING THOSE MILESTONES
3 BECAUSE THAT'S THE POINT IN TIME WHERE CIRM'S
4 SCIENTIFIC TEAM AND THE APPLICANT TEAM ENGAGE IN
5 EXTENDED AND DETAILED DIALOGUE WHERE YOU MIGHT
6 UNCOVER THE FACT THAT WHEN THE APPLICANT WAS TALKING
7 ABOUT A MODEL OR CELL LINE, THEY WERE THINKING OF A
8 MOUSE MODEL WHILE OUR ELIGIBILITY CRITERIA REQUIRED
9 THAT IT BE A HUMAN LINE. SO IT'S OFTEN AT THAT
10 STAGE THAT THESE SORTS OF ISSUES COME TO THE
11 SURFACE.

12 MR. TORRES: SO, JEFF, ARE YOU SUGGESTING
13 THAT WE SHOULD HAVE A UNIFORM POLICY THAT APPLIES TO
14 BOTH NOT REACHING MILESTONE AND ELIGIBILITY?
15 BECAUSE WE'VE DONE THE PREVIOUS ALREADY. THAT'S
16 BEEN THE PRECEDENT. IF YOU ARE SUGGESTING THAT WE
17 SHOULD CHANGE THAT TO APPLY ACROSS THE BOARD, THEN
18 THAT'S AN ISSUE WE NEED TO DISCUSS.

19 MR. SHEEHY: I THINK MILESTONES FOR ME ARE
20 DIFFERENT. FIRST OF ALL, BROAD BRUSH, THOSE ARE
21 REVIEWED AT THE GRANTS WORKING GROUP. AND I GUESS
22 I'M JUST TRYING TO WRAP MY HEAD AROUND HOW SOMEBODY
23 GETS ALL THE WAY THROUGH AND NOT BEING ELIGIBLE.
24 THAT'S DIFFERENT FROM MILESTONES BECAUSE THOSE ARE
25 IN THE APPLICATION, THEY'RE FURTHER REFINED IN

1 CONVERSATIONS BEFORE THEY GO FORWARD WITH THE CIRM
2 TEAM. THOSE ARE MUTUALLY AGREED UPON, OBJECTIVE,
3 THEY'RE THERE.

4 AND TO BE CLEAR, AS I UNDERSTAND IT, WHEN
5 YOU DON'T HIT A MILESTONE, IT'S NOT THAT YOU ARE
6 TERMINATED. YOU JUST DON'T GET ANY MORE MONEY. IF
7 YOU WERE AT SOME POINT TO HIT YOUR MILESTONE, THEN
8 THEY CAN CONTINUE WITH THE GRANT. THAT'S HOW I
9 UNDERSTAND THAT THAT WORKS. THAT, OKAY, WE'LL PAY
10 YOU X MONEY TILL THERE'S MILESTONE ONE. IF YOU
11 DON'T HIT THAT MILESTONE, THEN THERE WILL BE NO MORE
12 CHECKS. YOU MAY HAVE OTHER FUNDS THAT YOU'RE ABLE
13 TO USE TO COMPLETE THAT WORK, AND THEN THE WORK CAN
14 CONTINUE WITH OUR FUNDING. IF AT THAT POINT YOU
15 RECOGNIZE THAT YOUR WORK IS NOT GOING TO GO
16 ANYWHERE, THEN LIKE, YEP, IT'S OVER. BUT ISN'T THAT
17 HOW IT'S GENERALLY THEORETICALLY SUPPOSED TO WORK?

18 DR. MILLS: THE MILESTONES DO FOR
19 CONTRACTUAL ISSUES BECAUSE WE CAN'T HAVE A NEVER
20 ENDING CONTRACT. JAMES CAN SPEAK MORE ABOUT THIS.
21 AFTER A CERTAIN PERIOD OF TIME, WE HAVE THE ABILITY
22 TO SAY YOU HAVEN'T MET YOUR MILESTONE, YOU'RE OVER
23 ON YOUR MILESTONE SO SIGNIFICANTLY, THAT WE'RE GOING
24 TO TERMINATE THE CONTRACT.

25 I THINK HERE WHAT WE'RE TALKING ABOUT --

1 I'M GOING TO TRY A DIFFERENT ANALOGY -- IS IMAGINE
2 IT'S A DIVING COMPETITION. AND WE SAY -- THE
3 OLYMPIC COMMITTEE SAYS, LOOK, YOU CAN'T USE ANABOLIC
4 STEROIDS AND PARTICIPATE IN THIS DIVING COMPETITION.
5 AND THE DIVER GOES AND JUMPS AND GETS A NINE FIVE,
6 NINE FIVE, NINE FIVE, WINS A SILVER MEDAL, AND THEN
7 THE BLOOD TESTS COME BACK THAT WERE TAKEN ON THE
8 DIVER AND THE BLOOD TEST TURNS OUT HE IS VIOLATING
9 THE SUBSTANCE ABUSE POLICY. THEY DON'T GO BACK TO
10 THE JUDGES AND SAY YOU GAVE IT A NINE FIVE, WHAT DO
11 YOU THINK NOW? THEY SAY YOU FAILED AN OBJECTIVE
12 CRITERIA ON THE FRONT END, AND WE ALL AGREED UPON
13 PROSPECTIVELY THAT IF THIS WERE THE CASE, THEN YOU
14 WOULD BE DISQUALIFIED FROM PARTICIPATION IF WE KNEW
15 ABOUT IT BEFORE YOU DOVE OR AFTER YOU DOVE. BUT IF
16 YOU WERE ON ONE OF THESE SUBSTANCES WHILE YOU DOVE,
17 THEN YOU ARE NOT ELIGIBLE. THAT'S THE BEST ANALOGY
18 I CAN THINK OF HERE. WE'RE NOT TALKING ABOUT
19 SOMETHING THAT NEEDS TO BE OR THAT SHOULD BE IN MY
20 MIND READJUDICATED OR REEVALUATED JUST BECAUSE OF
21 THE TIMING OF WHEN THE BLOOD TEST CAME BACK.

22 MR. SHEEHY: I DON'T SEE US AS JUDGES
23 THOUGH. I SEE US AS THE BODY APPROVING THE SPENDING
24 OF MONEY AND ALSO TAKING BACK MONEY. SO THE ANALOGY
25 FALLS FOR ME ON THAT. I'M NOT A JUDGE HERE. I'M

1 FISCALLY RESPONSIBLE TO THE STATE OF CALIFORNIA FOR
2 THE SPENDING OF THE \$3 BILLION THAT WE'VE BEEN
3 ALLOCATED.

4 CHAIRMAN THOMAS: MR. JUELSGAARD, DR.
5 HIGGINS, DR. STEWARD.

6 DR. JUELSGAARD: SO I WANT TO ACTUALLY
7 FOLLOW UP WITH THAT LAST POINT FIRST. SO YOUR
8 ANALOGY, RANDY, CLEARLY TAKING DRUGS IN THE FACE OF
9 AN ATHLETIC COMPETITION IS VERBOTEN, RIGHT?
10 EVERYBODY WOULD AGREE WITH THAT. SO MY QUESTION IS
11 WHEN PEOPLE HAVE VIOLATED THESE ELIGIBILITY CRITERIA
12 IN THE PAST, WHETHER THERE'S BEEN ONE OR MORE, CAN
13 YOU LOOK THROUGH TO SEE WHETHER THAT WAS DONE
14 INTENTIONALLY, THERE WAS A REAL INTENT TO MISLEAD,
15 OR IT WAS SIMPLY AMBIGUOUS?

16 DR. MILLS: IT'S DIFFICULT TO SAY. WE
17 HAVEN'T HAD ANYONE COME AND SAY, YEAH, I LEFT THAT
18 OUT OR I CHECKED THAT BOX BECAUSE I WANTED TO
19 MISLEAD YOU. BUT WE HAD BOXES CHECKED AND THINGS
20 LEFT OUT THAT WERE CLEARLY FACTUALLY INACCURATE.

21 DR. JUELSGAARD: SO I'M JUST GOING TO
22 FINISH THEN. SO THE VALUE IN WHAT JEFF WOULD LIKE
23 TO DO, WHICH IS FROM MY POINT OF VIEW, TO RUN IT
24 BACK THROUGH THE APPLICATION REVIEW SUBCOMMITTEE, IS
25 THAT IF SOMEBODY DID THIS INTENTIONALLY, THEIR HANDS

1 WOULD GET SLAPPED BY BASICALLY WITHDRAWING THE
2 FUNDING, AND THAT WOULD BE A MATTER OF PUBLIC
3 RECORD. THAT WOULD HAPPEN IN AN OPEN MEETING AND
4 EVERYBODY WOULD BE AWARE OF IT.

5 IF, ON THE OTHER HAND, THIS IS A
6 SLOPPINESS ISSUE, NOT AN INTENTIONAL ISSUE, THEN
7 HAVING IT RUN THROUGH THE APPLICATION REVIEW
8 SUBCOMMITTEE DOESN'T REALLY HELP EXCEPT MAYBE PEOPLE
9 WILL BE A LITTLE BIT MORE CAREFUL THE NEXT TIME
10 AROUND.

11 SO, ANYWAY, I'M NOT SURE WHICH WAY I FALL
12 ON THIS, JEFF. I HEAR WHAT YOU'RE SAYING. I SEE
13 CERTAIN VALUE IN IT, BUT I SEE THE VALUE MOST
14 PREDOMINANT WHEN IT'S CLEAR THAT SOMEBODY DID
15 SOMETHING INTENTIONALLY TO MISLEAD THE GWG OR US.

16 MR. SHEEHY: IF WE STAY WITH THIS ANALOGY,
17 THAT IS A VERY PUBLIC -- PEOPLE DON'T COME AND
18 WHISPER GIVE YOUR MEDALS BACK. THAT BECOMES A VERY
19 PUBLIC EVENT.

20 DR. HIGGINS: I ACTUALLY DON'T HAVE A
21 PROBLEM WITH THE PROPOSAL AS IT STANDS, AND I WOULD
22 BE HAPPY WITH IT AS IS, BUT I'M SYMPATHETIC TO WHAT
23 JEFF IS SAYING AS WELL. IS THERE SOME SIMPLE
24 SOLUTION TO LANGUAGE SAYING THAT THE BOARD APPROVES
25 CONTINGENT UPON AS OPPOSED TO THE BOARD APPROVES?

1 CHAIRMAN THOMAS: I THINK DR. STEWARD HAS
2 A COMMENT FURTHER TO THAT QUESTION.

3 DR. STEWARD: YEAH. SO I'M LISTENING
4 ACTUALLY TO EXACTLY WHAT YOU'RE SAYING. SO IT SEEMS
5 LIKE THERE'S TWO ISSUES. ONE IS THAT THE BOARD HAS
6 THE ULTIMATE RESPONSIBILITY FOR ALLOCATING FUNDS,
7 AND TWO IS THE NEED FOR THIS ACTIVITY BEING DONE IN
8 A PUBLIC FORUM, WHICH IS THE BOARD MEETING. AND IT
9 SEEMS LIKE ACTUALLY THIS WOULD OCCUR UNDER THIS PLAN
10 AS LONG AS THAT LAST STEP WAS THAT THE BOARD WOULD
11 BE INFORMED, THE APPLICATION REVIEW SUBCOMMITTEE
12 WOULD BE INFORMED, NOT IN WRITING, BUT IN OPEN
13 MEETING. THAT'S THE POINT OF PUBLIC INFORMATION.

14 NO. 2, BEING INFORMED DOESN'T MEAN THAT
15 THE BOARD CAN'T ACTUALLY TAKE ACTION THAT
16 CONTRADICTS THAT. THIS BOARD ALWAYS HAS THE
17 ULTIMATE AUTHORITY TO SAY, NO, WAIT A MINUTE.
18 ACTUALLY WE WANT TO HEAR MORE, AND WE MIGHT EVEN
19 MAKE A MOTION TO GO AHEAD AND FUND THIS. SO I
20 ACTUALLY THINK THIS WHOLE THING FITS TOGETHER.
21 MAYBE THERE'S A WORD OR TWO THAT COULD BE CHANGED TO
22 MAKE IT A LITTLE BIT MORE CLEAR, BUT I THINK THAT IN
23 THE END ALL OF THOSE THINGS ARE ACTUALLY IN PLACE, I
24 THINK.

25 MR. SHEEHY: THE SOLUTION IS JUST

1 AGENDIZING THE INFORMING AS AN ACTION ITEM, AND THEN
2 YOU'RE OUT. THAT'S THE WAY SO THAT IF WE -- BECAUSE
3 WHERE WE GET HUNG UP IS WE'RE INFORMED, SO IT'S AN
4 INFORMATION ITEM. WE'D HAVE TO PUT IT ON THE AGENDA
5 AND COME BACK TO THE NEXT MEETING. SO THE INFORMING
6 OF THE BOARD WE CAN PUT ON AS AN ACTION ITEM, AND I
7 THINK WE JUST SOLVED THE PROBLEM.

8 MR. TORRES: IN THAT INTERIM ARE YOU
9 ADVOCATING THAT THE FUNDING STOP UNTIL A DECISION IS
10 MADE?

11 MR. SHEEHY: NO. I'M NOT RECOMMENDING --
12 I THINK THIS IS FINE. THE FUNDING HAS BEEN STOPPED,
13 THE TEAM COMES TO THE BOARD AND SAYS THIS HAPPENED.
14 IT'S AGENDIZED AS AN ACTION ITEM IN CASE ANYBODY HAS
15 A PROBLEM WITH THAT. SO WE STILL HAVE THE ABILITY
16 TO DO SOMETHING. I HOPE WE DON'T EVER DO SOMETHING
17 IN THESE CIRCUMSTANCES.

18 MR. TORRES: IS THAT WHAT THEY'RE DOING
19 RIGHT NOW, INFORMING US?

20 DR. DEAS: THEY'RE INFORMING --

21 DR. MILLS: RIGHT NOW THERE'S NOT A
22 REALLY -- THE PROBLEM WE'RE RUNNING INTO IS RIGHT
23 NOW THERE'S NOT A REALLY CLEAR PLAN. WHAT WE DO IN
24 ACTUAL PRACTICE IS ANYTHING WE POSSIBLY CAN TO TRY
25 TO MAKE THE APPLICATION COME BACK INTO CONFORMANCE

1 IN ANY WAY. IF NOT, WE'LL ASK TO WITHDRAW.

2 IF WHAT MR. SHEEHY IS SUGGESTING IS WE
3 JUST ANY TIME THIS HAPPENS, WE PUT IT AS AN AGENDA
4 ITEM NOTIFICATION OF THE BOARD OF FAILURE TO
5 CONTRACT AN AWARD, WE ARE FINE WITH THAT. I THINK
6 THAT'S KIND OF WHAT WE THOUGHT WE WERE DOING.

7 DR. DIXON: HOW MANY TIMES HAS THIS
8 ACTUALLY HAPPENED?

9 MR. HARRISON: TWO OCCASIONS.

10 COULD I JUST ASK ONE CLARIFICATION TO MAKE
11 SURE I UNDERSTAND WHAT THE INTENT WOULD BE. SO WE
12 WOULD PUT ON THE AGENDA THAT WE HAD MADE A
13 DETERMINATION BASED ON ELIGIBILITY CRITERIA NOT TO
14 CONTRACT APPLICATION XYZ. AND IF THE BOARD DID NOT
15 TAKE ACTION ON THAT ITEM, THE CIRM TEAM
16 DETERMINATION NOT TO CONTRACT WOULD STAND?

17 MR. SHEEHY: YES. IT'S NOT AN ACTION
18 WHERE WE HAVE TO TAKE ACTION, BUT IT'S JUST
19 AGENDIZED IN A WAY THAT HOPEFULLY IN A SITUATION
20 THAT IT NEVER HAPPENS. IT'S JUST HOW IT'S PUT ON
21 THE AGENDA AS OPPOSED TO A DISCUSSION ITEM WHERE
22 WE'D HEAR ABOUT IT AND THEN WE WOULD BE PRECLUDED
23 FROM TAKING ACTION.

24 CHAIRMAN THOMAS: OKAY. I THINK WE'VE HIT
25 ON THE APPROPRIATE SOLUTION HERE. THANK YOU,

1 EVERYBODY. THAT WAS A GREAT DISCUSSION. SO COULD
2 WE, GIL, MOVE ON TO --

3 MR. REED: PUBLIC COMMENT?

4 CHAIRMAN THOMAS: I THINK THAT WILL BE AT
5 THE END, DON, AFTER GIL HAS GONE THROUGH THE WHOLE
6 THING.

7 DR. SAMBRANO: THAT WAS ONE. SO WE'LL GO
8 DOWN TO NO. 2. SO THE NEXT ONE IS RELATED TO HAVING
9 A GOOD STANDING REQUIREMENT. THIS IS INFORMATION
10 THAT WE HAVE ALREADY BEEN COLLECTING FOR SEVERAL
11 YEARS FROM APPLICANTS, ASKING THEM DO THEY HAVE
12 SYSTEMS IN PLACE TO TRACK CIRM FUNDS THAT WOULD BE
13 APPROPRIATE, WHETHER THE PI HAS BEEN CONVICTED OF OR
14 IS NOT UNDER INVESTIGATION FOR CRIMES INVOLVING
15 FRAUD OR MISAPPROPRIATION, WHETHER THE PI IS UNDER
16 INVESTIGATION OF RESEARCH MISCONDUCT, OR IS BARRED
17 FROM RECEIVING RESEARCH FUNDS. BUT IT'S NEVER
18 REALLY BEEN PART OF THE FORMAL ELIGIBILITY CRITERIA.
19 SO WHAT WE WANT TO DO HERE IS SIMPLY INCLUDE IT
20 WITHIN THAT SO THAT WE HAVE A MECHANISM TO
21 DISQUALIFY AN APPLICANT THAT DOES NOT MEET THESE
22 STANDARDS. SO THAT IS THAT ONE.

23 THE NEXT ONE --

24 DR. MELMED: WHY DO YOU LIMIT NO. 2 TO
25 JUST FRAUD AND MISAPPROPRIATION? SHOULDN'T IT BE

1 ANY CRIME?

2 DR. SAMBRANO: I SUPPOSE IT COULD BE.

3 DR. MELMED: IF IT'S A MURDER, IT'S OKAY
4 THEN.

5 DR. SAMBRANO: WE HAVE NOT ASKED THAT
6 QUESTION. THIS IS BASED ON THE QUESTIONS WE ASKED
7 IN ORDER TO KNOW WHETHER THEY CAN MANAGE CIRM FUNDS.

8 NEXT ONE HAS TO DO WITH NOW A SERIES OF
9 PERSONNEL ELIGIBILITY QUESTIONS. HERE, THIS IS ONE
10 THAT WAS DISCUSSED AT SCIENCE SUBCOMMITTEE, AND
11 THERE WERE QUESTIONS THAT WE'RE BRINGING HOPEFULLY A
12 LITTLE MORE INFORMATION TO BEAR.

13 THE PROPOSED CHANGE HERE IS CURRENTLY
14 THERE IS A MINIMUM PERCENT EFFORT IN THE CLINICAL
15 PROGRAM, AND THIS JUST APPLIES TO THE CLINICAL
16 PROGRAM, OF 30-PERCENT EFFORT BY THE PI. OUR
17 EXPERIENCE WITH CLINICAL PROJECTS HAS BEEN THAT
18 THESE VARY IN TERMS OF THE DEMAND FOR THE PI
19 THROUGHOUT THE COURSE OF THE AWARD. THEY GO THROUGH
20 DIFFERENT PHASES, SOME IN WHICH THEY ARE REALLY
21 REQUIRED TO SPEND A LOT OF TIME TO GET THE PROJECT
22 GOING, TO MOVE ENROLLMENT, TO TREAT PATIENTS, AND
23 THERE ARE OTHER PHASES WHERE IT'S ALMOST THE
24 EQUIVALENT OF WATCHING PAINT DRY. THEY ARE IN A
25 STATUS WHERE THEY REALLY ARE NOT EXPENDING MUCH OF

1 THEIR TIME ON THIS PARTICULAR PROJECT.

2 SO WHAT WE WANT TO DO IS ESSENTIALLY
3 REQUIRE A PI TO PROPOSE AND JUSTIFY THE PERCENT
4 EFFORT FOR EACH PHASE OF THE PROJECT TIMELINE TO
5 MATCH THE PROPOSED ACTIVITIES, BUT THAT THIS WOULD
6 BE NOT LESS THAN 15 PERCENT AVERAGED OUT OVER THE
7 PROJECT PERIOD. BEFORE WE DID NOT HAVE A MINIMUM
8 PERCENT EFFORT REQUIREMENT. I THINK FOLLOWING
9 DISCUSSION WITH THE SCIENCE SUBCOMMITTEE, WE
10 PROPOSED TO HAVE A MINIMUM EFFORT JUST TO ENSURE
11 THAT THERE IS A COMMITMENT FROM THE PI TO THE
12 PROJECT. BUT ULTIMATELY THIS IS TO ENSURE THAT
13 EFFORT MATCHES THE ACTIVITY AND ALSO, IMPORTANTLY,
14 TO ENSURE THAT CIRM IS NOT PAYING FOR UNNECESSARY
15 WORK, ESPECIALLY DURING THOSE PERIODS WHERE THERE IS
16 NO NEED OR DEMAND.

17 THE WAY WE CAME UP WITH THE 15 PERCENT,
18 THIS IS IN PART BASED ON EXPERIENCE FROM OUR GWG
19 CLINICIAN SCIENTISTS. SO WHAT WE DID WAS WE
20 SURVEYED THEM -- THESE ARE FOLKS THAT ACTIVELY
21 OVERSEE CLINICAL TRIAL PROJECTS -- AND ASKED THEM
22 WHAT A REASONABLE EFFORT WOULD BE. THERE WAS
23 AGREEMENT THAT 30 PERCENT WAS A BIT MUCH, AND THE
24 RANGE THAT THEY SUGGESTED TO US WAS BETWEEN 10 AND
25 20 PERCENT. SO WE CHOSE FIFTEEN, AND THAT'S WHAT WE

1 ARE PROPOSING HERE. ARE THERE QUESTIONS ON THIS
2 ONE?

3 CHAIRMAN THOMAS: GIL, WHAT DO YOU DO
4 ABOUT -- I KNOW WE HAVE SOME AWARDS WE'VE MADE IN
5 THE PAST WHERE THERE'S BEEN LESS THAN THAT AMOUNT.
6 AM I REMEMBERING CORRECTLY?

7 DR. SAMBRANO: THAN 15 PERCENT?

8 CHAIRMAN THOMAS: LESS THAN 15 PERCENT.

9 DR. SAMBRANO: NO. OUR MINIMUMS HAVE
10 USUALLY BEEN AROUND 20.

11 CHAIRMAN THOMAS: OKAY. THANK YOU.

12 DR. SAMBRANO: SO THE NEXT ITEM RELATES TO
13 PROJECT MANAGERS. SO THE FIRST ITEM IS ALLOWING
14 APPLICANTS TO SATISFY THIS REQUIREMENT BY ENTERING
15 INTO A CONTRACT WITH CIRM'S STEM CELL CENTER THAT
16 DR. MILLAN DESCRIBED. THEY HAVE EXPERIENCE AND
17 PROVIDE PROJECT MANAGEMENT SERVICES. SO IF THEY
18 AGREE TO DO THAT FOR THE PROJECT, WE WOULD CONSIDER
19 THAT MEETING THE ELIGIBILITY FOR THE PROJECT MANAGER
20 AND EFFORT.

21 THE NEW ITEM THAT WE ARE BRINGING THAT WAS
22 NOT CONSIDERED BY THE SCIENCE SUBCOMMITTEE IS A
23 MINIMUM PERCENT EFFORT ON THE TRAN PROJECTS, ON THE
24 TRANSLATIONAL PROJECTS. CURRENTLY WE HAVE A
25 REQUIREMENT THAT PROJECT MANAGERS EXPEND A

1 50-PERCENT EFFORT ON TRAN PROJECTS, AND WE'D LIKE TO
2 REDUCE IT TO 35 PERCENT. AND THIS IS BASED ON
3 ADVICE WE HAVE GOTTEN FROM INDEPENDENT CONSULTANTS
4 AS WELL AS THE STEM CELL CENTER ITSELF THAT PROVIDES
5 THESE KINDS OF SERVICES WHO FELT THAT 35 PERCENT IS
6 A MORE REASONABLE NUMBER FOR SOMEBODY WHO IS AT THIS
7 STAGE OF DEVELOPMENT.

8 SO FOR READINESS OF CLIN1 PROGRAM, THIS IS
9 ANOTHER ONE THAT WAS DISCUSSED AT THE SCIENCE
10 SUBCOMMITTEE, AND THERE WERE QUESTIONS ABOUT THIS
11 ONE. WHAT WE PROPOSE HERE IS TO REDUCE THE TIME TO
12 FILE AN IND FOR CLIN1 APPLICANTS. SO THESE ARE THE
13 IND-ENABLING AWARDS UNDER THE CLIN PROGRAM FROM THE
14 CURRENT 24 MONTHS TO 18 MONTHS.

15 AND THE RATIONALE BEHIND THIS IS TWOFOLD.
16 IT IS TO ALIGN IT WITH OUR CIRM STRATEGIC GOAL TO
17 REDUCE TIME FROM THE DISCOVERY PHASE TO THE
18 INITIATION OF A CLINICAL TRIAL TO FOUR YEARS.
19 CURRENTLY THE TRAN PROGRAM ALLOWS UP TO 30 MONTHS
20 FOR SOMEBODY TO GET TO A PRE-IND MEETING FOR A
21 THERAPEUTIC. AND 18 MONTHS IN THE CLIN1 WOULD ALLOW
22 THIS TO ALIGN WITH THE FOUR-YEAR GOAL. AND THIS
23 GOAL IS IF THEY HAVE ACHIEVED A SUCCESSFUL PRE-IND
24 MEETING, REASONABLE, WE BELIEVE. AND WE GATHER DATA
25 FROM APPLICANTS THAT HAVE APPLIED TO CLIN1, AND THE

1 AVERAGE TIME THAT IS PROPOSED BY APPLICANTS THAT
2 WE'VE GOTTEN TO GET FROM THE START OF THE AWARD TO
3 THE IND FILING IS 16.8 MONTHS, WHICH IS WELL WITHIN
4 THE PROPOSED 18 MONTHS.

5 SO THE NEXT ITEM HAS TO DO WITH CLARIFYING
6 THE SCOPE OF ELIGIBILITY FOR SMALL MOLECULES AND
7 BIOLOGICS. I THINK THIS IS SOMETHING THAT WAS
8 ORIGINALLY INTENDED, BUT THE LANGUAGE CURRENTLY DOES
9 NOT PERMIT RESEARCH INVOLVING SMALL MOLECULES OR FOR
10 WHICH A STEM CELL IS NECESSARY TO MANUFACTURE THE
11 THERAPY. SO AN EXAMPLE HERE MIGHT BE EXOSOMES THAT
12 ARE DERIVED FROM A STEM CELL. SO THIS WOULD ALLOW
13 SUCH PROJECTS TO COME IN.

14 AND ALSO SMALL MOLECULES OR BIOLOGICS THAT
15 MODIFY A STEM CELL THERAPY, IN THIS CASE AN EXAMPLE
16 WOULD BE A TRACKING AGENT OR IMAGING AGENT. THESE
17 CHANGES WOULD BE APPLIED TO THE TRAN1, CLIN1, AND
18 CLIN2 PHASE 1 TRIALS.

19 DR. STEWARD: DOES THAT AFFECT ANY OF THE
20 CURRENTLY FUNDED PROGRAMS OR TRIALS?

21 DR. SAMBRANO: IT DOES NOT. NO, BECAUSE
22 THIS IS EXPANDING THE SMALL MOLECULE, BIOLOGICS. SO
23 IT'S ALLOWING PROJECTS THAT WE HAVE NOT PREVIOUSLY
24 ALLOWED TO COME IN.

25 THE NEXT ONE IS ON THE FLIP SIDE LOOKING

1 AT THE SCOPE OF PHASE 2 AND PHASE 3 TRIALS IN CLIN2.
2 AND HERE THE PROPOSAL IS TO RESTRICT THESE TWO CELL
3 THERAPIES OR STEM OR PROGENITOR CELL EITHER COMPOSES
4 THE THERAPY OR ARE USED TO MANUFACTURE THE CELL
5 THERAPY. AND THEN IN THE PHASE 3 TRIALS, SIMILAR,
6 BUT ALSO WHERE THE THERAPY IS FOR RARE INDICATIONS.
7 AND THE RATIONALE BEHIND THIS IS THAT FOR SMALL
8 MOLECULE AND BIOLOGIC PRODUCTS THAT GO THROUGH A
9 SUCCESSFUL PHASE 1 TRIAL, WE BELIEVE THEY SHOULD BE
10 ABLE TO ATTRACT FUNDING FROM OTHER SOURCES WHERE IT
11 IS MUCH MORE DIFFICULT FOR A CELL THERAPY TO BOTH
12 NAVIGATE THROUGH THE REGULATORY ENVIRONMENT AND GET
13 ADDITIONAL FUNDING FOR LATER STAGE PHASE TRIALS.

14 IN ADDITION, FOR THE PHASE 3 TRIAL
15 CATEGORY, WE ARE ADDING -- THIS WAS NOT PRESENTED AT
16 THE SCIENCE SUBCOMMITTEE -- TO ALLOW APPLICANTS THAT
17 HAVE BEEN INFORMED BY THE FDA THAT THEIR PHASE 2
18 TRIAL QUALIFIES FOR MARKETING APPROVAL OR WOULD
19 QUALIFY TO BE A PIVOTAL TRIAL TO APPLY UNDER THE
20 PHASE 3 FUNDING. I'M GOING TO GO OVER SOME PROPOSED
21 CAPS FOR A PHASE 3, BUT ESSENTIALLY THIS WOULD ALLOW
22 SOMEBODY WHO HAS A PIVOTAL PHASE 2 TO REQUEST PHASE
23 3 FUNDING.

24 DR. MELMED: COULD YOU CLARIFY? THE WAY
25 IT'S WRITTEN, DOES THAT MEAN TO IMPLY THAT

1 PARKINSON'S TRIALS COULD NOT BE SUPPORTED BY CIRM?

2 DR. SAMBRANO: NO. SO THEY WOULD, IF THEY
3 ARE A CELL THERAPY, THEY WOULD QUALIFY.

4 DR. MELMED: IT SAYS AND RARE INDICATIONS.

5 DR. SAMBRANO: SO THE RARE INDICATION IS
6 AS DEFINED BY THE FDA. SO THAT IS NORMALLY FOR --

7 DR. MELMED: SO IT'S ORPHAN DISEASES ONLY?

8 DR. SAMBRANO: IT'S ORPHAN DISEASES OR
9 DISEASES THAT ARE 200,000 OR LESS.

10 DR. MELMED: SO WE APPROVED AN
11 OSTEOARTHRITIS FOR THE KNEE THIS MORNING.

12 DR. MILLS: ONLY FOR PHASE 3 TRIALS.

13 DR. MELMED: WHY WAS THAT? WAS THAT
14 DISCUSSED AT THE BOARD? WE'VE GOT IN THE PIPELINE
15 PHASE 2S OF COMMON DISEASES.

16 DR. MILLS: WHAT WE HAVE FOUND, AND WE
17 TALKED ABOUT THIS AT THE APPLICATION REVIEW
18 SUBCOMMITTEE, IS THAT A CLINICAL TRIAL FOR A MAJOR
19 INDICATION, A NON-ORPHAN, THAT'S GOING INTO A PHASE
20 3 THAT ACTUALLY IS READY AND APPROPRIATELY IN A
21 PHASE 3 WHERE THEY HAVE GOOD PHASE 2 SUPPORTING
22 DATA. A PHASE 3 ELIGIBLE PRODUCT IS ONE THAT NO
23 LONGER -- IT HAS SATISFIED PROOF OF CONCEPT, IT HAS
24 EFFICACY AND SAFETY DATA BEHIND IT, AND NO LONGER
25 MEETS CIRM'S REQUIREMENT OF FUNDING THOSE THINGS

1 WHICH ARE NOT ABLE TO SEEK -- WHICH WOULD NOT BE
2 ABLE TO BE FUNDED OTHERWISE.

3 DR. MELMED: WOULDN'T WE WANT TO SHOW THE
4 VOTERS THAT WE HAVE A CURE FOR DISEASE REGARDLESS OF
5 WHETHER IT'S RARE OR NOT A RARE DISEASE? I DON'T
6 RECALL US HAVING A DISCUSSION ON THIS. HAVE WE
7 RESTRICTED PHASE 3 TO ONLY ORPHAN DISEASES?

8 DR. MILLS: I THINK, AGAIN, WHAT WE'RE
9 DOING IS WE'RE ALIGNING OUR POLICY WITH OUR ACTUAL
10 CONSTITUTIONAL MANDATE, WHICH ISN'T LET'S JUST GO
11 SHOW THE VOTERS THAT WE PUT SOME MONEY INTO A PHASE
12 3 TRIAL THAT WOULD HAVE BEEN FUNDED ANYWAY, BUT
13 INSTEAD FUND THOSE THINGS THAT WITHOUT US WOULDN'T
14 GET FUNDED.

15 DR. MELMED: THE PIPELINE YOU SHOWED US
16 THIS MORNING IS A CONTINUUM. I'M PUZZLED WHY
17 SOMETHING WOULD BE FUNDED BY US FOR PHASE 2, GREAT
18 SUCCESS, AND THEN WE STOP.

19 DR. MILLS: BECAUSE IF IT DID, IT WOULDN'T
20 NEED OUR FUNDING. THERE AREN'T GREAT PHASE 2 TRIALS
21 THAT GO INTO PHASE 3 THAT DON'T GET FUNDED IF THEY
22 ACTUALLY HAVE GOOD PHASE 2 DATA. THEY'RE INCREDIBLY
23 LUCRATIVE ENTITIES. THIS IS WHAT WE DISCOVERED WHEN
24 WE DID OUR, IF YOU REMEMBER, BACK TO OUR OWN
25 ELECTION POLICY, WAS WHEN WE WERE SETTING THE

1 DISCOUNTED RATES, PHASE 3 TRIALS THAT ARE GOOD PHASE
2 3 TRIALS --

3 DR. MELMED: I'M VERY AWARE OF THE
4 ADVANTAGES AND PITFALLS OF PHASE 3 TRIALS. WHY
5 WOULD WE WANT TO RESTRICT OURSELVES? THIS IS A VERY
6 RESTRICTIVE LANGUAGE. IF SOMEBODY COMES UP WITH A
7 GREAT PROGRAM WHICH IS GOING TO EXTEND PHASE 2 INTO
8 PHASE 3 FOR PARKINSON'S DISEASE, WE'RE CUTTING
9 OURSELVES OUT HERE.

10 DR. MILLS: WHAT WE'RE DOING IS WE'RE
11 FOCUSING ON THOSE THINGS THAT, WHEN WE FUND, WE'RE
12 ADDING VALUE TO AS OPPOSED TO FUND JUST TO SAY THAT
13 WE WENT ALONG FOR THE RIDE.

14 DR. MELMED: WE FUND THE PHASE 1 AND PHASE
15 2. THAT'S NOT THE RIDE. THAT'S US.

16 DR. MILLS: RIGHT. AND SO, THEREFORE, WE
17 TOOK IT --

18 DR. MELMED: IT JUST SOUNDS IRRATIONAL TO
19 ME AND OVERLY RESTRICTIVE.

20 MR. SHEEHY: I WONDER IF A WAY TO ADDRESS
21 THIS MIGHT BE THAT MAYBE PUTTING IN A LITTLE
22 ADDITIONAL LANGUAGE THAT WE'LL FUND OUR CHILDREN. I
23 DON'T KNOW IF THAT MIGHT -- IF THIS IS --
24 HYPOTHETICAL YOU'RE SAYING IS WE STARTED WITH THE
25 PROJECT IN PHASE 1, IF THAT ACTUALLY GETS ALL THE

1 WAY AND ACTUALLY STILL NEEDS OUR MONEY. BUT I SEE
2 YOUR POINT, BUT I ALSO SEE RANDY'S. WHAT WOULD A
3 PHASE 3 TRIAL COST IN A CELL THERAPY?

4 DR. MILLS: IN THOSE MAJOR INDICATIONS, WE
5 WOULDN'T DENT A PHASE 3 TRIAL. SO WE WOULD BE
6 PUTTING MONEY IN LITERALLY FOR SHOW. AND BECAUSE
7 WHERE WE ARE NOW IS A ZERO-SUM GAME, ANYTHING WE
8 FUNDED THERE WE WOULDN'T FUND WHERE IT ABSOLUTELY
9 NEEDED US. SO WE WOULD NOT FUND PROGRAMS THAT NEED
10 OUR HELP FOR THE PURPOSES OF SAYING, HEY, LOOK. THE
11 WAY WE DESIGNED IT WASN'T TO RESTRICT MONEY FROM
12 THOSE THINGS THAT NEEDED US. IT WAS TO MAKE SURE WE
13 HAD MONEY AVAILABLE FOR THOSE THINGS THAT NEEDED US.

14 DR. STEWARD: THANKS. I GUESS, IN
15 GENERAL, I'M ALWAYS ONE WHO IS SORT OF ARGUING
16 AGAINST OVERLY RESTRICTIVE LANGUAGE. I WONDER IF
17 THIS COULD BE FIXED, IF YOU WANT, BY CHANGING IT
18 FROM ELIGIBILITY CRITERION TO A REVIEW CRITERION.
19 IT HAS THE SAME EFFECT, BUT ONE CAN IMAGINE A
20 SITUATION WHERE SOMETHING WOULD COME ALONG, AND I
21 CAN'T QUITE PUT ALL THE PIECES TOGETHER, THAT WE
22 WOULD REALLY WANT TO FUND EVEN THOUGH IT DIDN'T
23 QUITE FIT HERE. THAT COULD BE SOMETHING THAT COULD
24 BE REVIEWED AND CONSIDERED BY THIS BOARD, AND ON THE
25 BASIS OF THIS AS A REVIEW CRITERION VOTE IT DOWN,

1 VOTE IT UP, DOWN WHATEVER YOU WANT. IT JUST KIND OF
2 MAKES IT A LITTLE BIT MORE OPEN TO OPPORTUNITIES
3 THAT MIGHT ARISE. THANK YOU.

4 MR. SHEEHY: BUT I THINK THAT THERE'S A
5 RANGE OF NUMBERS HERE WE'RE TALKING ABOUT. YOU WILL
6 KNOW -- WE'RE NOT TALKING ABOUT ANOTHER 10 OR \$15
7 MILLION, ARE WE? WE'RE TALKING ABOUT FOR A PHASE 3
8 TRIAL IN THIS SPACE OF BEING, WHAT, JUST AT A
9 MINIMUM?

10 DR. MILLS: SO BIG PHASE 3, PARTICULARLY
11 BIG PHASE 3 CELLULAR THERAPY TRIALS, OUR MAXIMUM
12 THAT WE'RE GOING TO WITH A CAP FOR ANY TRIAL IS 15.
13 BIG PHASE 3 TRIALS ARE 50 TO \$200 MILLION APIECE.
14 AND NON-ORPHAN INDICATIONS ARE ALWAYS REQUIRED TO BE
15 DONE IN DUPLICATE. SO I GUESS THE POINT OF IT IS
16 THEY CAN GET FUNDED, UNLESS IT'S AN ORPHAN
17 INDICATION, WHICH WOULD STRUGGLE PERHAPS, MAYBE NOT,
18 THERE'S CERTAINLY NO SHORTAGE OF LARGE, PROFITABLE
19 PHARMACEUTICAL COMPANIES THAT HAVE DONE A GOOD JOB
20 MAKING A BUSINESS IN ORPHAN INDICATIONS, GENZYME,
21 SHIRE, AND THE LIKE, BUT A NON-ORPHAN INDICATION
22 THAT'S ACTUALLY READY TO BE IN A PHASE 3 TRIAL, ONE,
23 HAS SUCH AN ENORMOUS BURDEN ASSOCIATED WITH THAT
24 PHASE 3 TRIAL, THAT OUR CONTRIBUTION TO IT WOULD BE
25 NOT VERY MUCH; AND, TWO, HAVE THE ABILITY TO RAISE

1 THAT FUNDING WITHOUT US.

2 DR. MELMED: THAT'S A REVIEW ISSUE. IT'S
3 NOT A POLICY ISSUE. THAT SHOULD BE A REVIEW ISSUE.

4 DR. JUELSGAARD: SO JUST TO TRY AND BRIDGE
5 THE GAP A LITTLE BIT. SO I UNDERSTAND IT, THAT IT
6 COULD WELL BE A REVIEW ISSUE. BUT IF WE WERE TO GO
7 IN THAT DIRECTION, I WOULD LIKE TO SEE THIS STATED
8 AS A PREFERENCE THEN. A PREFERENCE OVER FUNDING A
9 BIGGER TRIAL SO THAT WE AT LEAST HAVE SOME INTERNAL
10 GUIDANCE WHEN IT COMES TO THAT.

11 MR. SHEEHY: I GUESS I'M TRYING TO
12 VISUALIZE HOW THIS BECOMES A REVIEW ISSUE. BECAUSE
13 WE'VE SET UP THE REVIEW TO REVIEW SCIENCE. NOW
14 THERE IS A WHOLE FINANCIAL ELEMENT THAT YOU'RE
15 BRINGING IN AS TO WHETHER OR NOT -- I GUESS THAT'S
16 WHERE, AS A REVIEW ISSUE, I'LL LISTEN TO MY
17 COLLEAGUES ON THIS.

18 DR. STEWARD: MAYBE THIS ISN'T A GOOD
19 EXAMPLE, BUT I'M THINKING OUT LOUD HERE, ONE COULD
20 IMAGINE A SITUATION WHERE THERE'S SOME VALUE-ADDED
21 COMPONENT, FOR EXAMPLE, TO A LARGE CLINICAL TRIAL
22 THAT CIRM COULD FUND THAT WOULDN'T OTHERWISE BE
23 ACTUALLY CARRIED OUT. THAT WOULD BE ONE EXAMPLE OF
24 THE KIND OF FLEXIBILITY THAT MIGHT MAKE US WANT TO
25 BUY INTO ONE OF THESE VERY LARGE CLINICAL TRIALS, OR

1 ONE POPULATION WASN'T BEING SERVED ADEQUATELY.

2 I'M JUST ARGUING FOR FLEXIBILITY RATHER
3 THAN AN ABSOLUTE THAT WE NEVER SEE THESE THINGS
4 COMING IN AND HAVE THE OPPORTUNITY TO SAY NO.

5 DR. DIXON: I'M ALSO A SUPPORTER OF THE
6 FLEXIBILITY PIECE. I THINK MAKING IT TOO
7 RESTRICTIVE IS TOO LIMITING.

8 CHAIRMAN THOMAS: OKAY.

9 MR. SHEEHY: HAVING BEEN HERE FOR SO LONG,
10 I MEAN WE REALLY -- WHEN WE FIRST STARTED TALKING
11 ABOUT THIS, OUR FIRST STRATEGIC PLAN, WE DIDN'T
12 CONTEMPLATE FUNDING ANY PHASE 3 TRIALS JUST BECAUSE
13 OF THE COST. EVEN WITH THE AMOUNT OF MONEY WE HAD
14 WHEN WE HAD \$3 BILLION, IT WAS KIND OF UNDERSTOOD
15 THAT IF WE GOT PEOPLE THROUGH -- ISN'T THAT YOUR
16 RECOLLECTION?

17 DR. PRIETO: I ALWAYS THOUGHT -- I THINK
18 OUR THINKING AT THE TIME WAS THAT THEY WOULD BE
19 PROHIBITIVELY EXPENSIVE. AND I THINK, AS RANDY WAS
20 SAYING, THAT IF SOMETHING GETS TO THAT POINT AND
21 APPEARS TO BE SUCCESSFUL THROUGH PHASE 2, WE'RE NOT
22 GOING TO BE NEEDED ANYMORE. THE INITIATIVE IS
23 WORDED TO SAY THAT OUR FUNDING SHOULD BE DIRECTED TO
24 THOSE AREAS THAT OTHERWISE WOULD NOT GET FUNDING
25 FROM OTHER SOURCES. THAT'S WHAT WE'RE SUPPOSED TO

1 PRIORITIZE. AND THIS DOESN'T SEEM -- PHASE 3 TRIALS
2 FOR A NON-ORPHAN DRUG DON'T REALLY SEEM TO FALL INTO
3 THAT CATEGORY.

4 MR. SHEEHY: AND NOT TO BELABOR THE POINT,
5 BUT THE PERSON -- THE ENTITY THAT TAKES IT THROUGH
6 PHASE 3 HAS TO MARKET, SELL IT, MANUFACTURE IT,
7 DISTRIBUTE IT. AND I DON'T THINK CIRM EVER
8 VISUALIZED ITSELF AS DOING THAT. WHEN YOU GET
9 THROUGH PHASE 2, IT'S SAFE AND YOU KNOW THAT IT
10 WORKS IN SOME FASHION. AND SO THE REAL THING IS HOW
11 DO YOU GET IT TO PEOPLE? RIGHT? HOW DO YOU FINALLY
12 PROVE IT? WHAT IS THE SUCCESS RATE IN PHASE 3?

13 DR. MILLS: DEPENDS ON WHETHER IT'S A
14 BIOLOGIC OR A SMALL MOLECULE. HE'S SAYING WHAT IS
15 THE PROBABILITY OF SUCCESS. THIS IS A REVERSAL FOR
16 ME. YOU'RE RIGHT. THERE WEREN'T PHASE 3 PROGRAMS.
17 WHEN WE LAUNCHED CIRM 2.0, WE LAUNCHED IT ALL THE
18 WAY THROUGH PHASE 3 PROGRAMS. THIS IS PART OF WHAT
19 DR. THOMAS REFERRED TO AS THE CIRM 2.8, SORT OF
20 LEARNING AS WE GO THROUGH HERE.

21 IT'S HARD TO LOOK BACK ON OUR PHASE 3
22 EXPERIENCES AND SAY THAT IF BUT NOT FOR US, THOSE
23 ONES WOULDN'T BE THERE, OR IF THEY ARE, THEY
24 SHOULDN'T HAVE BEEN THERE. THIS IS A CHANGE IN
25 POSITION FOR SOMETHING -- THIS IS A CLOSING OF A

1 DOOR THAT WE WERE THE ONES THAT OPENED IT.

2 CHAIRMAN THOMAS: OKAY. I'M NOT HEARING A
3 LOT OF CONSENSUS HERE. I THINK BOTH SIDES HAVE
4 ARGUED ADMIRABLY. TO THE EXTENT, PERHAPS, THAT YOU
5 COULD PUT IN SOME LANGUAGE THAT KEPT SOME DOOR OPEN
6 WITH A CLEAR PREFERENCE FOR RARE INDICATIONS ON THE
7 PHASE 3, YOU MIGHT CONTEMPLATE THAT, GIL. SO THAT
8 WOULD ADDRESS THE NUMEROUS COMMENTS TO THAT EFFECT.
9 AS A MATTER OF PRINCIPLE, I DOUBT THAT WE WILL EVER
10 ACTUALLY BE DOING PHASE 3S FOR LARGER INDICATIONS
11 FOR THE VARIOUS REASONS, BUT THIS DOES ALLOW SOME
12 FLEXIBILITY ON A CASE-BY-CASE BASIS.

13 DR. STEWARD: JUST WHATEVER PROCESS. IS
14 THIS AN ACTION ITEM?

15 DR. SAMBRANO: YES.

16 CHAIRMAN THOMAS: YES.

17 DR. STEWARD: SO I THINK THAT WE HAVE A
18 SIGNIFICANT AMOUNT OF LACK OF CONSENSUS, LET'S CALL
19 IT HERE. I GUESS I GO BACK TO WHETHER WE'RE GOING
20 TO VOTE ON THESE INDIVIDUALLY OR THE WHOLE THING
21 ALTOGETHER.

22 DR. MILLS: I WOULD JUST LIKE TO POINT OUT
23 THAT AS A REVIEW ISSUE, THIS IS A REVIEW ISSUE. IF
24 WE JUST SAID WE'RE GOING TO MAKE THIS A REVIEW
25 ISSUE, WE HAVE THAT LANGUAGE NOW. IT'S A REVIEW

1 ISSUE, AND WE GIVE PRIORITY TO THOSE INDICATIONS ON
2 A REVIEW THAT HAVE THE GREATEST IMPACT, THE NEED,
3 ORPHAN, ALL OF THAT OTHER STUFF. WHAT WE HAVE TODAY
4 IS AS A REVIEW ISSUE.

5 CHAIRMAN THOMAS: WELL, BUT IT ISN'T
6 BECAUSE IT WILL NEVER MAKE REVIEW UNDER THIS
7 AMENDMENT HERE.

8 DR. MILLS: WHAT WE'RE SAYING IS WE WANTED
9 TO MOVE FROM A REVIEW ISSUE TO AN ELIGIBILITY ISSUE
10 BECAUSE WE ALREADY HAVE IT AS A REVIEW ISSUE, AND IT
11 DOESN'T VET OUT.

12 DR. STEWARD: JUST TO SAY I THINK THAT
13 MOST OF THE THINGS THAT YOU HAVE ON THE LIST ARE
14 GOING TO BE PRETTY EASY VOTES, BUT THIS ONE MAY NOT
15 BE. JUST TO RAISE IT AS A PROCESS QUESTION.

16 DR. MELMED: I THINK WHAT STEVE PROPOSED
17 IS GOOD LANGUAGE. IF YOU PROPOSE AN AMENDMENT AS TO
18 THE PREFERENCE LANGUAGE --

19 DR. JUELSGAARD: YOU CAN FREE RIDE.

20 DR. MELMED: NO. NO. IT'S YOUR LANGUAGE.
21 IT'S YOUR IDEA.

22 CHAIRMAN THOMAS: SO-CALLED JUELSGAARD
23 AMENDMENT.

24 DR. JUELSGAARD: WELL, AT THE SUGGESTION
25 OF DR. MELMED, I WANT TO PREFACE IT THAT WAY, I

1 WOULD MOVE TO AMEND THE ELIGIBILITY CRITERIA TO
2 STATE THAT THE SECOND BULLET POINT IS A PREFERENCE,
3 BUT NOT AN EXCLUSIONARY ITEM.

4 DR. SAMBRANO: ESSENTIALLY THAT WOULD
5 BASICALLY MAKE IT THE SAME AS TWO, AND THEN WE WOULD
6 RETAIN THE REVIEW PREFERENCE FOR RARE INDICATIONS.

7 DR. JUELSGAARD: I ASSUME THAT'S RIGHT. I
8 GUESS I WOULD LEAVE PHASE 3 AS IT SAYS. INSTEAD OF
9 SAYING RESTRICT, PREFERENCE TO DA-DA-DA-DA.

10 DR. SAMBRANO: THE REASON I'M SAYING THAT
11 IS BECAUSE FOR ELIGIBILITY CRITERIA, WE WANT TO MAKE
12 IT AS OBJECTIVE AS POSSIBLE. SO THEY EITHER QUALIFY
13 OR THEY DON'T. AND LEAVE THE MORE SUBJECTIVE
14 WHETHER IT'S APPROPRIATE OR NOT TO THE GWG TO
15 ASSESS.

16 DR. STEWARD: I THINK THAT THE
17 QUESTIONABLE PART ISN'T THE RESTRICT. I THINK THE
18 QUESTIONABLE PART IS THE "AND." IF WE JUST KIND OF
19 DELETED THAT, AND YOU COULD SAY "AND WITH A
20 PREFERENCE FOR THERAPIES FOR RARE INDICATIONS"
21 RATHER THAN CHANGING THE RESTRICT PART, WHICH I
22 THINK IS AN ALTERNATE MOTION.

23 DR. MILLS: I THINK, OS, WHAT HE'S SAYING
24 IS THE WORD "PREFERENCE" CAN'T BE HERE BECAUSE THIS
25 IS ELIGIBILITY. SO THIS IS THE IT'S BLACK OR WHITE.

1 DR. STEWARD: I WOULD SAY JUST DROP THE
2 "AND."

3 DR. SAMBRANO: RIGHT, BUT WE WOULD RETAIN
4 THE PREFERENCE, THEN, IN INSTRUCTION TO REVIEWERS.

5 MR. TORRES: SO WHAT'S THE MOTION?

6 DR. JUELSGAARD: I HAVE TO GET YOUR
7 APPROVAL TO AMEND, SENATOR TORRES, SINCE WE SEEMED
8 TO HAVE THIS PROBLEM ONCE BEFORE.

9 MR. TORRES: OH, NO. NO. NO. WASN'T A
10 PROBLEM FOR ME. YOU CHOSE NOT TO EXERCISE YOUR
11 RIGHT TO AMEND.

12 DR. JUELSGAARD: NO, BECAUSE I WAS CUT OFF
13 AT THE PASS, AS I RECALL.

14 MR. TORRES: WHO CUT YOU OFF?

15 DR. JUELSGAARD: SOMEBODY IN THIS ROOM WHO
16 WILL GO UNNAMED. IN ANY EVENT, SO I WOULD LIKE TO
17 AMEND MY AMENDMENT TO EXCLUDE THE "AND" WHERE THE
18 THERAPY IS RARE INDICATIONS AS AN ELIGIBILITY
19 CRITERIA, BUT TO HAVE THE GWG INSTRUCTED THAT
20 THERE'S A PREFERENCE FOR THAT WHEN THEY DO THE
21 REVIEW.

22 MR. TORRES: I'LL SECOND THAT.

23 DR. JUELSGAARD: WAS THAT A FRIENDLY
24 SECOND? I'M NOT SURE I'LL ACCEPT IT ANYWAY.

25 CHAIRMAN THOMAS: IT'S BEEN MOVED AND

1 SECONDED. DO WE HAVE A COMMENT?

2 DR. DULIEGE: I JUST WANT TO CLARIFY THAT
3 WHAT THAT MEANS IS SOME OF US WANT TO RETAIN THE
4 OPPORTUNITY TO FUND LARGE PHASE 3 TRIALS THAT ARE
5 NOT FOR RARE INDICATIONS? IS THAT WHAT WE'RE
6 SAYING?

7 DR. STEWARD: AGAIN, MY POINT WAS NOT JUST
8 TO BE OVERLY RESTRICTIVE. I THINK THAT IT WOULD BE
9 HIGHLY UNLIKELY THAT MANY SUCH TRIALS WOULD BE
10 FUNDED; BUT, AGAIN, I CAN IMAGINE A SITUATION WHERE
11 WE MIGHT WANT TO CONSIDER IT AND NOT ELIMINATE IT
12 ENTIRELY FROM CONSIDERATION. THAT WOULD BE MY VIEW
13 ON IT.

14 DR. DULIEGE: I JUST WANT TO SAY I
15 UNDERSTAND THAT, JUST HAVING A PREFERENCE RECONCILE
16 EVERYONE'S PERSPECTIVE AND ALLOWS US TO MOVE AHEAD.
17 AND FROM THAT STANDPOINT, IT'S FINE, AND THAT LEAVES
18 SORT OF ALL DOORS OPEN. BUT I JUST WANT TO ECHO
19 WHAT WAS SAID, WHICH IS SEVERAL LARGE PHASE 3 TRIALS
20 NONRARE OR NON-ORPHAN DRUG INDICATIONS ARE NOT JUST
21 OUTSTANDINGLY EXPENSIVE, BUT INCLUDE THINGS THAT
22 WERE MENTIONED SO FAR IN TERMS OF MEDICAL,
23 COMMERCIAL, MANUFACTURING, AND SO FORTH THAT I THINK
24 GO BEYOND THE SCOPE OF WHAT CIRM WOULD HAVE TO DO,
25 CLEARLY. SO FINE, IF WE WANT COSMETICALLY TO LEAVE

1 ALL DOORS OPEN, BUT REALISTICALLY THIS WOULD NOT
2 SEEM PROPER TO DO.

3 CHAIRMAN THOMAS: SUPERVISOR SHEEHY.

4 MR. SHEEHY: NOT TO CARRY THIS ON TOO FAR,
5 I'M NOT GOING TO BE VOTING FOR THIS. AND I DO WANT
6 TO REMIND PEOPLE THERE ARE OPPORTUNITY COSTS, AND
7 OPPORTUNITY COSTS IN TERMS OF REVIEW, OPPORTUNITY
8 COSTS IN TERMS OF THE EXPENSE OF INVESTING IN TRIALS
9 WHERE I JUST DON'T THINK WE SHOULD BE. WE'RE DOWN
10 TO OUR LAST MONEY. SO THAT'S JUST MY VIEW. THANK
11 YOU.

12 CHAIRMAN THOMAS: ANY OTHER COMMENTS ON
13 THE SO-CALLED JUELSGAARD AMENDMENT AND THE FRIENDLY
14 ACCEPTED SECOND BY SENATOR TORRES? OKAY. SO DO WE
15 NEED A ROLL CALL VOTE ON THIS?

16 DR. SAMBRANO: I HAVE TO KEEP GOING. I
17 THINK THIS WOULD END UP BEING AN AMENDMENT TO A
18 MOTION BECAUSE THERE'S NO MOTION ON THE FLOOR.

19 CHAIRMAN THOMAS: FOR AN OMNIBUS
20 AMENDMENT.

21 MR. HARRISON: THERE IS A MOTION ON THE
22 TABLE SECONDED. WE SHOULD, IF THERE IS NO PUBLIC
23 COMMENT ON THIS MOTION, TAKE THIS MOTION BEFORE
24 PROCEEDING WITH DR. SAMBRANO'S PRESENTATION.

25 CHAIRMAN THOMAS: ANY PUBLIC COMMENT?

1 MR. REED: WILL THERE BE AN OPPORTUNITY
2 FOR PUBLIC COMMENT ON THE PREVIOUS ONE WHICH THERE
3 WAS NO VOTE?

4 CHAIRMAN THOMAS: YES.

5 DR. BURTIS: JAMES, COULD YOU RESTATE WHAT
6 WE'RE VOTING ON?

7 MR. HARRISON: AS I UNDERSTAND IT, THE
8 MOTION IS TO AMEND THE CONCEPT PROPOSALS IN FRONT OF
9 YOU TODAY SUCH THAT FOR PHASE 3 TRIALS, THE FACT
10 THAT THE INDICATION IS A RARE INDICATION WOULD BE A
11 PREFERENCE IN REVIEW RATHER THAN AN ELIGIBILITY
12 CRITERION.

13 DR. JUELSGAARD: TO SAY IT DIFFERENTLY,
14 AND I AGREE WITH THAT, BUT IT'S JUST SIMPLY TO
15 DELETE THE LANGUAGE FROM THE WORD "AND" IN THE FIRST
16 CLAUSE TO THE END WHERE THE SEMICOLON IS, AND THEN
17 TO GIVE GUIDANCE DURING GWG REVIEW THAT THERE'S A
18 PREFERENCE FOR RARE INDICATIONS, IF THAT HELPS.

19 CHAIRMAN THOMAS: THANK YOU, MR.
20 JUELSGAARD. MARIA, WILL YOU PLEASE CALL THE ROLL.

21 MS. BONNEVILLE: I WOULD LOVE TO.
22 GEORGE BLUMENTHAL.

23 DR. BLUMENTHAL: YES.

24 MS. BONNEVILLE: LINDA BOXER.

25 DR. BOXER: NO.

1 MS. BONNEVILLE: KEN BURTIS.
2 DR. BURTIS: YES.
3 MS. BONNEVILLE: DEBORAH DEAS.
4 DR. DEAS: YES.
5 MS. BONNEVILLE: JACK DIXON.
6 DR. DIXON: NO.
7 MS. BONNEVILLE: ANNE-MARIE DULIEGE.
8 DR. DULIEGE: NO.
9 MS. BONNEVILLE: HOWARD FEDEROFF.
10 ELIZABETH FINI.
11 DR. FINI: YES.
12 MS. BONNEVILLE: JUDY GASSON.
13 DR. GASSON: NO.
14 MS. BONNEVILLE: DAVID HIGGINS.
15 DR. HIGGINS: YES.
16 MS. BONNEVILLE: STEPHEN JUELSGAARD.
17 MR. JUELSGAARD: YES.
18 MS. BONNEVILLE: KATHY LAPORTE.
19 DR. LAPORTE: NO.
20 MS. BONNEVILLE: BERT LUBIN. SHLOMO
21 MELMED.
22 DR. MELMED: YES.
23 MS. BONNEVILLE: LAUREN MILLER.
24 MS. MILLER: NO.
25 MS. BONNEVILLE: ADRIANA PADILLA.

1 DR. PADILLA: NO.
2 MS. BONNEVILLE: JOE PANETTA.
3 MR. PANETTA: YES.
4 MS. BONNEVILLE: FRANCISCO PRIETO.
5 DR. PRIETO: NO.
6 MS. BONNEVILLE: ROBERT QUINT.
7 DR. QUINT: YES.
8 MS. BONNEVILLE: AL ROWLETT.
9 MR. ROWLETT: NO.
10 MS. BONNEVILLE: JEFF SHEEHY.
11 MR. SHEEHY: NO.
12 MS. BONNEVILLE: OSWALD STEWARD.
13 DR. STEWARD: YES.
14 MS. BONNEVILLE: JONATHAN THOMAS.
15 CHAIRMAN THOMAS: NO.
16 MS. BONNEVILLE: ART TORRES.
17 MR. TORRES: AYE.
18 MS. BONNEVILLE: KRISTINA VUORI.
19 DR. VUORI: YES.
20 MS. BONNEVILLE: DIANE WINOKUR. BRUCE
21 WINTRAUB. SHERRY LANSING.
22 MOTION CARRIES.
23 MR. TORRES: WHAT WAS THE VOTE?
24 MR. HARRISON: TWELVE YES, ELEVEN NO.
25 DR. JUELSGAARD: BEFORE YOU ASK THOSE ON

1 THE PHONE, I'M WORRIED --

2 (PAUSE IN PROCEEDINGS.)

3 DR. SAMBRANO: I'LL KEEP GOING THEN. SO
4 THE NEXT ITEM IS RELATED TO ELIGIBILITY FOR DEVICES.
5 HERE WE WANT TO ALIGN THE TRAN3, WHICH IS FOCUSED ON
6 TRANSLATIONAL STUDIES FOR MEDICAL DEVICES, AND ALIGN
7 IT WITH THE CLIN PROGRAM AND MAKE THE ELIGIBILITY
8 CRITERIA THE SAME. SO THAT WOULD MEAN TO INCLUDE
9 STUDIES ON A DEVICE WHERE THE THERAPEUTIC MECHANISM
10 OF ACTION REQUIRES THE RECRUITMENT OR INCORPORATION
11 OF AN ENDOGENOUS HUMAN STEM OR PROGENITOR CELL.

12 AND THEN THE SECOND ITEM FOR CLIN2, TO
13 LIMIT DEVICE TRIALS TO FEASIBILITY STUDIES AS
14 OPPOSED TO THE PIVOTAL OR PHASE 3 EQUIVALENT TO
15 ALIGN IT WITH THE PREVIOUS SLIDE'S GOAL OF FOCUSING
16 OUR PIVOTAL TRIALS TO CELL THERAPY.

17 SO FOR THE CLIN3 PROGRAM, SO THIS IS THE
18 THIRD ARM OF OUR CLINICAL PROGRAM, YOU HAVE NOT
19 HEARD FROM US BRINGING APPLICATIONS RELATED TO THAT
20 AND BASICALLY BECAUSE THERE HAVEN'T BEEN MANY. IN
21 THE LAST 22 CYCLES THAT WE'VE HAD, WE'VE HAD THREE
22 THAT HAVE COME AND NONE HAVE BEEN RECOMMENDED, AND
23 SO THEY'VE IN MOST CASES WITHDRAWN.

24 AND SO WE FEEL THAT OVERALL THE CLIN3
25 PROGRAM AS CURRENTLY STRUCTURED IS NOT REALLY

1 SERVING OUR GOALS. AND IN RETHINKING THIS, WE
2 DECIDED THAT THE WAY IN WHICH IT COULD SERVE OUR
3 MISSION BEST WOULD TO BE TO LIMIT IT TO AWARDEES TO
4 CONDUCT NEW ACTIVITIES THAT WOULD ENABLE FDA
5 MARKETING APPROVAL OF THE PROPOSED STEM CELL
6 PRODUCT; THAT IS, SOMEBODY, FOR EXAMPLE, THAT MAY
7 HAVE A PHASE 2 TRIAL AND BY, SAY, BRINGING IN
8 ADDITIONAL PATIENTS AND TREATING THEM, IF THE FDA
9 AGREES THIS WOULD BE SUFFICIENT TO MAKE IT A PIVOTAL
10 OR REGISTRATION TRIAL, THE CLIN³ WOULD ALLOW FUNDING
11 FOR THOSE NEW ACTIVITIES TO TAKE PLACE. SO WE WANT
12 TO FOCUS IT ON ENABLING SUCH CLINICAL TRIALS TO
13 ADVANCE TO THE MARKETING APPROVAL STAGE.

14 WE ALSO ARE PROPOSING ON CHANGING WHAT IS
15 CURRENTLY A \$20-MILLION BLANKET CAP ACROSS ALL OF
16 OUR CLINICAL PROGRAMS, SO THIS IS CURRENTLY
17 INCLUDING IND ENABLING THROUGH PHASE 3, AND REALLY
18 MATCH THEM UP TO THE STAGE OF DEVELOPMENT. AND THEN
19 ALSO UTILIZE OUR EXPERIENCE WITH APPLICATIONS THAT
20 WE HAVE RECEIVED AND THE AMOUNT OF FUNDS THAT ARE
21 REQUIRED TO EXECUTE ON THOSE PROJECTS AND SET UP
22 CAPS THAT REFLECT THAT.

23 SO THE PROPOSED CAPS ARE SHOWN ON THIS
24 SLIDE HERE, BUT I ALSO WANT TO AUGMENT THAT WITH THE
25 MODEL WE'VE USED IN ORDER TO DERIVE THESE. THIS WAS

1 A QUESTION THAT CAME UP DURING THE SCIENCE
2 SUBCOMMITTEE.

3 SO HERE IS A TABLE THAT PRESENTS, BASED ON
4 THE STAGE OF DEVELOPMENT, WHETHER THEY ARE A
5 FOR-PROFIT OR NONPROFIT AND THE NUMBER OF ESTIMATED
6 AWARDS AND THE CORRESPONDING CAPS. AND THE WAY THIS
7 WAS DERIVED WAS BASED ON A COUPLE OF KEY PARAMETERS.
8 FIRST, WE HAVE ABOUT 480 MILLION AVAILABLE IN THE
9 CLINICAL PROGRAM TO WORK WITH BETWEEN NOW AND 2020.
10 OUR GOAL ULTIMATELY, ONE OF OUR BIG SIX, IS TO FUND
11 50 CLINICAL TRIALS. WE DID 10 LAST YEAR, WE HAVE 40
12 TO GO. SO 480 MILLION, 40 TRIALS, AND THEN ALSO
13 UTILIZING THE AVERAGE AWARD AMOUNTS FOR EACH STAGE
14 THAT WE RECEIVED, AND UTILIZING THAT INFORMATION
15 DERIVING FROM THAT AN APPROPRIATE AWARD CAP AND
16 AWARD AMOUNT.

17 SO, AS YOU CAN SEE, THE PERCENT SHARE,
18 WHICH IS THE LAST COLUMN, SHOWS OUT OF THE 480
19 MILLION, ABOUT 15 PERCENT OF THAT IN THIS ESTIMATE
20 WOULD BE FOCUSED ON IND-ENABLING WORK, 33 PERCENT
21 WOULD BE GOING TO PHASE 1 STUDIES, 40 PERCENT TO
22 PHASE 2, AND THEN OVERALL ABOUT 12 PERCENT FOR PHASE
23 3 OR PIVOTAL TRIALS.

24 AND THEN IF YOU PLOT THAT OUT, THIS SHOWS
25 A GRAPH SHOWING THE AREA UNDER THE CURVE FOR THE

1 FUNDS. IT STARTS WITH WHERE WE CURRENTLY ARE, WHERE
2 WE'VE EXPENDED OR COMMITTED 87 MILLION TO THE
3 CLINICAL PROGRAM IN 2016. SO THAT'S OUR STARTING
4 POINT FOR 2017 THROUGH 2020 EXPENDITURES OF 480
5 MILLION ACROSS THE DIFFERENT PHASES OF CLINICAL
6 DEVELOPMENT FOR FOR-PROFITS AND NONPROFITS. AND
7 STARTING FROM THE BOTTOM WITH THE IND-ENABLING
8 NONPROFIT UP THROUGH THE TOP WHERE WE WOULD HAVE THE
9 CLIN3 AND PHASE 3 TRIALS AT THE TOP.

10 AND THE LAST ITEM --

11 DR. MELMED: TWO QUESTIONS. FIRST OF ALL,
12 THOSE OF US WHO LIVE IN THE NIH WORLD, THESE NUMBERS
13 ARE VERY GENEROUS FOR EACH PROJECT. CAN YOU GIVE US
14 AN IDEA OF THE RELATIVITY TO NIH AND HOW YOU DERIVED
15 THESE MAXIMUM CAPS?

16 DR. SAMBRANO: RIGHT. SO IT'S NOT AT ALL
17 BASED ON NIH. THIS IS DERIVED FROM OUR EXPERIENCE
18 WITH GRANTS THAT WE HAVE FUNDED OVER THE LAST COUPLE
19 OF YEARS. SO WE TOOK THOSE ACROSS THE DIFFERENT
20 PHASES, AND WE SEGREGATED THEM BETWEEN FOR-PROFIT
21 AND NONPROFIT ENTITIES, AND ESTIMATED, WE GOT THE
22 MINIMUMS AND THE MAXIMUMS THAT WE'VE EXPENDED FOR
23 EACH ONE, AND THEN THE MEDIANS AND AVERAGES. SO, IN
24 GENERAL, ESPECIALLY FOR THE IND-ENABLING, IN PHASE 1
25 WE ARE ON THE HIGHER END. WE'RE ABOVE THE AVERAGE.

1 SO WE'RE GIVING FLEXIBILITY. AS WE APPROACH THE
2 PHASE 3, WE COME PRETTY CLOSE AND JUST ABOUT UNDER
3 THE AVERAGE FOR THE PHASE 3S.

4 DR. JUELGAARD: SO, DR. SAMBRANO, JUST
5 FOR A POINT OF CLARIFICATION. SO A LITTLE EARLIER
6 THIS MORNING WE APPROVED, AMONGST OTHER PROJECTS,
7 ONE FOR SEVERE COMBINED IMMUNODEFICIENCY FOR THE
8 TUNE OF ALMOST \$12 MILLION. THERE WAS NO COFUNDING,
9 SO I SUPPOSE IT CAME FROM A NONPROFIT INSTITUTION.

10 DR. SAMBRANO: CORRECT.

11 DR. JUELGAARD: UNDER THIS CRITERIA, IF
12 WE STOP AT 9 MILLION, IF THEY NEEDED MORE THAN THAT,
13 THEY WOULDN'T GET APPROVED. AND YOU WERE INDICATING
14 THE REASON FOR THE HIGH COST OF THAT CLINICAL TRIAL
15 WAS THAT IT WAS AN AUTOLOGOUS-BASED TRIAL, NOT AN
16 ALLOGENEIC. SO I'M WONDERING HOW THIS PROPOSAL
17 SQUARES WITH SOME ISSUE LIKE THAT.

18 DR. SAMBRANO: AGAIN, WE'RE BASING IT NOT
19 ON THE MAXIMUM AMOUNT THAT WE HAVE FUNDED, BUT
20 APPROXIMATING THE MEDIANS AND THE AVERAGES AND BEING
21 GENEROUS JUST ABOVE THAT, SO IT'S NOT GOING TO COVER
22 ALL. THERE ARE PROJECTS THAT MANY OF THEM, EVEN IF
23 THEY'RE ACADEMIC, WILL PROVIDE COFUNDING, AND IT
24 WOULD NOT NECESSARILY BE UNEXPECTED FOR A PROJECT
25 LIKE THAT TO ADJUST ITS COSTS. SO, FOR EXAMPLE, IF

1 WE WANT TO USE THAT ONE AS AN EXAMPLE, THE
2 MANUFACTURING COSTS WOULD BE SOMETHING THAT MAYBE
3 THEY WOULD CHOOSE TO CONTINUE TO DO AT THEIR CURRENT
4 SITE RATHER THAN HAVE IT DONE LOCALLY. THEY COULD
5 STILL HAVE THE TRIAL HAPPEN AND SAVE ON THE OVERALL
6 AMOUNT.

7 SO IT WOULD CERTAINLY REQUIRE THEM TO
8 ADJUST THEIR COSTS. BUT WHEN THEY'RE COMING IN,
9 SOMEBODY WOULD KNOW UP FRONT THAT THEY WOULD NOT BE
10 ABLE TO REQUEST MORE THAN 9 MILLION IF THEY'RE
11 COMING IN FOR A PHASE 2.

12 DR. JUELSGAARD: SO THE COUNTER TO THAT IS
13 THAT THEY COULD COME IN WITH A SUBOPTIMAL PROPOSAL
14 SIMPLY BECAUSE THEY'RE BEING LIMITED BY THE AMOUNT
15 OF MONEY WE'RE WILLING TO PROVIDE. SO WHAT MY
16 HYPOTHESIS IS IS THAT THE PROPOSAL THAT WAS MADE
17 ABOUT SEVERE COMBINED IMMUNODEFICIENCY WAS AN
18 OPTIMAL PROPOSAL. THAT'S THE STANDARD I'M USING. I
19 DON'T KNOW WHETHER THAT'S THE CASE OR NOT, BUT I'M
20 ASSUMING FOR A MOMENT THAT THAT WOULD BE THE CASE.
21 YOU WOULD THEN HAVE TO APPLY FOR SOMETHING THAT'S
22 LESS THAN OPTIMAL IN ORDER TO BE ABLE TO GET FUNDING
23 AT LEAST AT THE \$9 MILLION LEVEL AND YOU'RE NOT ABLE
24 TO GET IT ABOVE THAT. SO ANYWAY.

25 DR. MILLS: I WOULD JUST SAY DO THE

1 OPTIMAL TRIAL AND SECURE ADDITIONAL SOURCES OF
2 FUNDING.

3 DR. JUELSGAARD: WELL, I'M ALL FOR THAT,
4 BUT I THINK WE'VE HEARD BEFORE IN THESE MEETINGS
5 THAT FOR NONPROFIT ORGANIZATIONS -- IN FACT, WE
6 HEARD THIS WHEN WE HAD THE BIG DISCUSSION ABOUT
7 TRYING TO SCALE BACK OTHER AWARDS THAT WERE MADE IN
8 ORDER TO FIT A SIXTH ONE IN. IT'S BEEN SEVERAL
9 MEETINGS AGO. WHAT WE HEARD FROM A SPEAKER WHO HAD
10 HIS PROJECT APPROVED WAS THAT'S JUST NOT VERY
11 PRACTICAL IN A NONPROFIT INSTITUTION TO GO OUT AND
12 FIND OTHER SOURCES OF FUNDING.

13 I DON'T KNOW WHETHER THAT'S TRUE OR NOT,
14 BUT THAT WAS SOMETHING PUT FORTH AS AN ASSUMPTION.
15 SO I'M NOT SAYING THAT WE SHOULDN'T DO 9 MILLION. I
16 THINK WE JUST NEED TO UNDERSTAND WHAT MAY OR MAY NOT
17 HAPPEN, WHETHER WE'VE GOT THE RIGHT NUMBER OR NOT.
18 I'M JUST TRYING TO RAISE SOME AWARENESS ABOUT IT.

19 MR. SHEEHY: I SHARE STEVE'S CONCERN. AND
20 SO, FIRST OF ALL, WHAT WE APPROVED TODAY FOR 12
21 MILLION, WAS THAT A PHASE 1 TRIAL?

22 DR. SAMBRANO: NO. THAT WAS A PHASE 2,
23 THE SCID.

24 DR. JUELSGAARD: THAT'S ANOTHER QUESTION.
25 IT ACTUALLY CALLS IT ON WHAT WAS PRESENTED TO US --

1 (PROCEEDINGS WERE INTERRUPTED.)

2 DR. JUELSGAARD: IT'S CALLED A PHASE 1/2
3 TRIAL. SO WHEN YOU BRING THOSE IN, WHICH OF THOSE
4 TWO LITTLE AREAS DO WE CONSIDER? THAT'S KIND OF A
5 SEPARATE.

6 MR. SHEEHY: I HAD THE SAME QUESTION
7 BECAUSE WE SEE PHASE 1/2. WHICH BUCKET WOULD THAT
8 FALL UNDER?

9 DR. SAMBRANO: THE WAY WE'VE BEEN DOING IT
10 CURRENTLY, A PHASE 1/2A FALLS INTO THE PHASE 1
11 BUCKET.

12 MR. SHEEHY: WE KNOW THAT WE -- I HAVE
13 STEVE'S CONCERNS. I DON'T KNOW ABOUT THESE CAPS. I
14 WASN'T THAT COMFORTABLE WITH THEM WHEN WE HAD IT AT
15 THE SCIENCE SUBCOMMITTEE.

16 DR. SAMBRANO: THE DATA WE PROVIDED IN THE
17 MEMO SHOWS THE ACTUAL AWARDS THAT THESE ARE BASED
18 ON. SOME OF THEM ARE PHASE 1/2S. THAT INCLUDES THE
19 MINIMUM AND MAXIMUM THERE, SOME CERTAINLY WITHIN THE
20 CAP AMOUNT. AND SO WHEN WE DERIVED THESE, WE
21 ATTEMPTED TO DO THIS ON THE HIGHER END RATHER THAN
22 JUST THE AVERAGE.

23 MR. SHEEHY: THERE'S A CONTRADICTION HERE
24 BECAUSE WE JUST SAID THAT WE WANTED TO DO PHASE 3
25 TRIALS IN THESE ORPHAN DISEASES INVOLVING STEM AND

1 PROGENITOR CELLS, WHICH ARE EXPENSIVE, BUT WE'RE
2 KIND OF CUTTING OFF OUR PIPELINE TO GET THEM INTO
3 PHASE 3 BY NOT PUTTING ENOUGH MONEY OUT THERE FOR
4 THOSE TO GO FORWARD AND TELLING THEM THAT THEY NEED
5 TO FIND ADDITIONAL MONEY, BUT WE SAID THAT WE'RE
6 GOING TO FUND THEM IN PHASE 3 BECAUSE THEY CAN'T
7 FIND ADDITIONAL MONEY. SO IT'S ALL --

8 DR. SAMBRANO: I THINK WHAT'S KEY HERE,
9 THOUGH, IS THAT IF YOU LOOK AT THE NUMBER OF AWARDS
10 ACROSS EACH PHASE, THIS ESTIMATE BASICALLY SAYS THAT
11 ACROSS THE NEXT FOUR YEARS, WE'D BE FUNDING TWO
12 PHASE 3S. AND THEN THE MAJORITY WOULD BE FOCUSED ON
13 PHASE 1 AND 2S.

14 MR. SHEEHY: NO. WHAT YOU JUST SAID IS
15 THAT IS SOMETHING LIKE WE HAD THIS MORNING, WHICH IS
16 AN ORPHAN DISEASE THAT INVOLVES STEM OR PROGENITOR
17 CELLS, WHICH WE ARE WILLING TO FUND IN PHASE 3, WE
18 NOW EXPECT THEM TO FIND ADDITIONAL MONEY IN PHASE
19 1/2 EVEN THOUGH WE'RE FUNDING THEM IN PHASE 3
20 BECAUSE THEY CAN'T FIND MONEY. THERE'S A
21 FUNDAMENTAL CONTRADICTION THERE. SO WE HAVE AN
22 EXAMPLE OF AN APPLICATION THAT WE WOULD NOT BE ABLE
23 TO FUND BASED ON THESE CAPS IN PHASE 1/2, BUT WE
24 COULD FUND IN PHASE 3. AND THE LOGIC FOR FUNDING IT
25 IN PHASE 3 CONTRADICTS THE LOGIC FOR PUTTING THE CAP

1 IN IN PHASE 1 AND 2.

2 DR. MILLS: THE ONLY THING I'LL SAY IS WE
3 GET THE APPLICATIONS WE GET BASED ON THE CRITERIA
4 THAT WE HAVE. AND RIGHT NOW THAT CRITERIA SAYS
5 THERE ESSENTIALLY ISN'T A CAP, AND SO WE GET, AS
6 DR. JUELSGAARD POINTED OUT, A VERY UNUSUALLY
7 EXPENSIVE PHASE 1 TRIAL. AND SO I THINK THERE MIGHT
8 NEED TO BE SOME CONSIDERATION THAT, JUST LIKE IN
9 1.0, WHEN WE HAD A \$20-MILLION CAP ON THE AWARDS,
10 ALL OF THE AWARDS MAGICALLY CAME IN AT \$19.9
11 MILLION. THERE IS SOME NEED FOR SAYING, LOOK, CIRM
12 CAN GO THIS FAR, PARTICULARLY WHEN YOU THINK THIS
13 ISN'T AN ORGANIZATION THAT CAME IN FOR A CLIN1, BUT
14 COULD HAVE, AND WOULD HAVE HAD A LOT OF THIS WORK
15 SUPPORTED THERE. AND THEN WE FUND ONE, WE FUND TWO.
16 IF IT'S A PHASE 1/2, THEY COULD HAVE OPTED FOR THE
17 TWO AND HAVE THAT NUMBER GO UP. THEY COULD DO IT IN
18 PHASE 1, THEY CAN COME BACK AND GET ANOTHER ROUND IN
19 PHASE 2, AND PARTICULARLY AGAIN IN PHASE 3.

20 AS DR. MELMED SAID, WE'RE EXTRAORDINARILY
21 GENEROUS IN THIS, BUT IT'S A LITTLE BIT LIKE THE LAW
22 OF PHYSICS THAT SAYS A GAS WILL TAKE THE SHAPE OF
23 ITS CONTAINER. IF WE MAKE THAT CONTAINER BIG, OUR
24 TRIALS WILL BE VERY EXPENSIVE.

25 MR. SHEEHY: BUT THAT WAS AN INNOVATION IS

1 NOT TO HAVE CAPS, RIGHT? SO THIS IS ANOTHER
2 REVERSAL OF WHAT WE USED TO DO. SO WE GOT AWAY FROM
3 CAPS. AND I'M JUST NOT FOLLOWING THE TRAIN OF LOGIC
4 THAT SAYS WE NEED TO GO BACK TO CAPS BECAUSE THE
5 REASON WE GOT AWAY FROM CAPS IS THAT WE DID OUR
6 BUDGET ANALYSIS UP FRONT SO THAT WE ACTUALLY SPENT
7 TIME COSTING OUT WHAT THESE THINGS SHOULD COST. I
8 MEAN, AGAIN, THE LOGIC ISN'T HOLDING UP FOR ME. WE
9 NOW PUT IN PLACE WHERE BEFORE YOU CAN GET REVIEWED,
10 EVERYONE HAS TO AGREE THAT THE BUDGET IS RATIONAL.
11 IF PEOPLE GIVE US CRAZY NUMBERS, WE JUST SAY WE'RE
12 NOT GOING TO REVIEW. WE'VE GONE WITH THAT. SO THIS
13 FEELS LIKE BELT AND SUSPENDERS, AND I'D BE HAPPY
14 WITH THE BELT.

15 DR. MILLS: SO I THINK WHAT THE
16 PHILOSOPHICAL DIFFERENCE IS IS SOMEBODY COMES TO US
17 NOW AND SAYS THIS IS A CHEVY, AND WE DETERMINE
18 WHETHER OR NOT IT'S A CHEVY. IT COSTS AS MUCH AS A
19 CHEVY COST OR IT DOESN'T COST AS MUCH AS A CHEVY
20 COSTS. WE DON'T DO ANY THIS IS A ROLLS ROYCE OR
21 THIS IS A CADILLAC OR THIS IS A LAMBORGHINI
22 ASSESSMENT HERE. BUT WE'RE ONLY ASSESSING WHAT THEY
23 PUT IN FRONT OF US.

24 MR. SHEEHY: IT JUST FEELS LIKE WE'RE
25 GOING THROUGH THIS PROCESS. WE WENT THROUGH A

1 PROCESS WHERE IT FELT LIKE WE KIND OF SIMPLIFIED
2 THINGS, AND NOW I FEEL LIKE YOU'RE PUTTING FINS AND
3 ALL SORTS OF THINGS. WE HAD A FAIRLY STRIPPED-DOWN
4 MODEL, AND NOW THE MODEL IS GETTING REALLY COMPLEX.
5 AND THE RATIONALE FOR THIS RULE, AGAIN, WHY DO WE
6 NEED THIS RULE? A LOT OF THESE THINGS I HAD TROUBLE
7 IN THE SCIENCE SUBCOMMITTEE. WHAT IS DRIVING THIS?
8 ARE YOU FINDING THAT THE APPLICATIONS THAT WE
9 RECEIVE ARE MORE EXPENSIVE THAN THEY NEED BE BECAUSE
10 WE DON'T HAVE CAPS? WHAT IS THE EVIDENCE?

11 I HAVE TO SAY I ALMOST FEEL LIKE
12 CONTINUING THE WHOLE THING OR BRINGING IT UP AGAIN
13 BECAUSE I'M NOT UNDERSTANDING AGAIN AND AGAIN, AND I
14 DIDN'T GET IT IN THE SCIENCE SUBCOMMITTEE, CLEARLY
15 WHAT'S DRIVING THESE CHANGES WITH ACTUAL REAL-WORLD
16 EXAMPLES.

17 DR. MILLS: SO WE REDUCED -- FIRST OF ALL,
18 EVERYTHING WE HAD WAS SORT OF ARBITRARILY SET AND WE
19 WANTED TO SEE HOW THINGS WOULD GO. JUST AS AN
20 EXAMPLE, WE REDUCED THE TRAN AWARD AMOUNT BY 25
21 PERCENT, AND WE DID NOT HAVE A SINGLE COMPLAINT OR
22 REDUCTION IN APPLICATIONS FOR THAT.

23 MR. SHEEHY: WE DO HAVE A REDUCTION IN
24 APPLICATIONS, SO THAT DOESN'T HOLD. COMPARED TO
25 OTHER ROUNDS, THE UPCOMING ROUND HAS A REDUCTION IN

1 APPLICATIONS.

2 DR. MILLS: THAT CHANGE WAS MADE BEFORE.
3 WE'VE BEEN THROUGH ROUNDS. AND LITERALLY NO ONE IS
4 SAYING WE JUST CAN'T GET IT DONE UNDER THAT. FROM
5 OUR STANDPOINT, THERE'S JUST A FISCAL RESPONSIBILITY
6 ASPECT OF IT HERE. IT SAYS WE HAVE A REALLY GOOD
7 IDEA OF WHAT THESE CLINICAL TRIALS SHOULD COST. AND
8 A PHASE 1 CLINICAL TRIAL, A PHASE 1 CLINICAL TRIAL,
9 COSTING MORE THAN \$9 MILLION, THAT IS AN ENORMOUSLY
10 EXPENSIVE PHASE 1 CLINICAL TRIAL. AND I THINK FROM
11 OUR STANDPOINT, AS PEOPLE THAT ARE RESPONSIBLE FOR
12 THE TAXPAYER OF CALIFORNIA'S MONEY, IF YOU WANT TO
13 CONDUCT A REALLY ELABORATE, OVERLY EXPENSIVE PHASE 1
14 CLINICAL TRIAL, WE'LL BRING \$9 MILLION TO THE TABLE,
15 WHICH IS, AGAIN, VERY, VERY GENEROUS, BUT MAYBE
16 BEYOND THAT, IT'S INCUMBENT UPON OTHER PEOPLE TO
17 ALSO PARTICIPATE.

18 MR. SHEEHY: THE LOGIC ACROSS ALL THESE
19 THINGS IS JUST NOT HOLDING UP FOR ME.

20 DR. STEWARD: I'M, I GUESS, AGAIN, A
21 LITTLE CONCERNED ABOUT THE -- IT'S NOT ARBITRARY.
22 IT JUST IS INFLEXIBLE TO HAVE THESE KINDS OF CAPS.
23 AND SO A COUPLE OF OBSERVATIONS. THIS IS A
24 QUESTION, BUT I'LL FINISH WHAT I'M SAYING AND THEN
25 YOU CAN ANSWER THE QUESTION.

1 TO WHAT EXTENT IS THE FIT HERE BECAUSE YOU
2 WANT TO FUND 40 CLINICAL TRIALS? IN OTHER WORDS, IS
3 THIS A MATTER OF SAYING THESE ARE THE NUMBERS THAT
4 ACTUALLY MAKE SENSE, OR ARE YOU SAYING WE HAVE 478
5 LEFT, I WANT TO FUND 40 CLINICAL TRIALS, AND SO I'M
6 GOING TO DO THE MATH AND FIGURE THIS OUT? I THINK
7 THAT'S AN IMPORTANT DISTINCTION BECAUSE I'D RATHER
8 FUND 30 REALLY GOOD ONES THAN 40 THAT ARE
9 COMPROMISED BY THESE LIMITS. SO THAT'S NO. 1.

10 AND THEN -- GO AHEAD ON THAT, AND I HAVE A
11 FOLLOW-UP.

12 DR. MILLS: THAT'S A VERY FAIR QUESTION.
13 BUT AS GIL SAID, THE WAY WE ACTUALLY CAME UP WITH
14 THE NUMBERS WAS BY LOOKING JUST ALL OF THE DATA
15 THERE FOR WHAT CAME TO US AND THEN SAY, OKAY, WHAT
16 DRIVES WHETHER OR NOT WE FUND 30, 40, OR 50 CLINICAL
17 TRIALS ISN'T REALLY THESE CAPS BECAUSE, AS GIL SAID,
18 ALMOST EVERYTHING WE HAVE FITS WITHIN THESE CAPS.
19 IT'S RATIOS WHICH WE'RE PROPOSING NO CONTROL OVER.
20 BUT IF WE WERE TO FUND EVERYTHING NOW, THAT WE CAN
21 THREES FOR EVERYTHING TOO AT THE HIGH END, THEN WE
22 WON'T COME CLOSE. SO THIS WAS SIMPLY A MATTER OF
23 PUTTING IN GUIDANCE AROUND THE DATA THAT WE WERE
24 COLLECTING THAT SAID WERE REASONABLE AMOUNTS?

25 DR. STEWARD: MY SECOND COMMENT, I GUESS,

1 REALLY IS ALL ABOUT THE QUESTION OF WHETHER OR NOT
2 YOU CAN ACTUALLY COME UP WITH ADDITIONAL MONEY. AND
3 IT SEEMS TO ME THAT THE ABILITY TO DO THAT REALLY
4 DEPENDS ON THE PROJECTED PROFITABILITY OF WHATEVER
5 IT IS THAT YOU'RE TRYING TO MOVE FORWARD. AS A
6 STATE AGENCY, I THINK WE NEED TO THINK ABOUT THINGS
7 OTHER THAN PROJECTED PROFITABILITY. WE NEED TO
8 THINK ABOUT THINGS THAT RELATE MORE TO IMPACT ON THE
9 HEALTH OF CALIFORNIANS. SO I'M JUST, AGAIN, A
10 LITTLE BIT CONCERNED THAT WITH THESE CAPS WE MIGHT
11 NOT GET SOME OF THE TRIALS FOR WHICH RAISING MONEY
12 WOULD BE VERY DIFFICULT, BUT THEY COULD ACTUALLY
13 HAVE A HUGE IMPACT. I DON'T KNOW HOW TO BALANCE
14 THAT, BUT THAT'S JUST AN OBSERVATION.

15 MR. SHEEHY: IT DOES SEEM LIKE IT WOULD
16 TAKE US FURTHER AWAY FROM OUR ORIGINAL MANDATE,
17 RIGHT? CAN YOU IMAGINE AN EMBRYONIC STEM
18 CELL-DERIVED PRODUCT, ESPECIALLY THAT HAS SOME GENE
19 MODIFICATION IN IT. IT DOES PUSH US TOWARDS MAYBE
20 THINGS THAT OTHER PEOPLE MIGHT BE WILLING TO FUND.

21 CHAIRMAN THOMAS: MR. SUPERVISOR, ARE YOU
22 SUGGESTING THAT WE SHOULD HAVE NO CAPS OR INCREASE
23 THE CAPS OR YOU'RE NOT SURE BECAUSE YOU DON'T HAVE
24 ENOUGH DATA TO MAKE THAT DECISION?

25 MR. SHEEHY: I'M NOT SURE BECAUSE I DON'T

1 KNOW WHAT THE INPUTS ARE. IT JUST SEEMS THAT WE
2 LOOKED AT EVERYTHING WE'VE DONE, AND THEN WE
3 BASICALLY DREW AN AVERAGE, MADE A CAP EVEN THOUGH WE
4 JUST APPROVED SOMETHING THIS MORNING THAT WOULD NOT
5 HAVE BEEN FUNDABLE -- WOULD NOT HAVE GOTTEN THE
6 MONEY THAT THEY ASKED FOR. IT'S ABOUT A TRAIN OF
7 LOGIC THAT MAKES SENSE TO ME.

8 CHAIRMAN THOMAS: SO WHAT ARE YOU
9 SUGGESTING HERE?

10 MR. SHEEHY: I THINK THAT A COUPLE OF
11 THESE MIGHT NEED A LITTLE MORE THOUGHT. MAYBE SEND
12 THIS PARTICULAR PIECE BACK TO THE SCIENCE
13 SUBCOMMITTEE AND TRY TO UNDERSTAND -- I MEAN WE HAD
14 THIS WHEN WE HAD THE SCIENCE SUBCOMMITTEE. A LOT OF
15 THESE THINGS WERE JUST NOT CLEAR TO US, AND WE HAD
16 TROUBLING DETERMINING WHAT THE INPUTS WERE THAT WERE
17 DRIVING THE CHANGE IN POLICY.

18 CHAIRMAN THOMAS: DR. MILLS.

19 DR. MILLS: NO COMMENT.

20 CHAIRMAN THOMAS: SHAKING YOUR HEAD MEANS
21 NO COMMENT AS OPPOSED TO A QUALITATIVE COMMENT ON
22 THE COMMENT. OKAY.

23 DR. DULIEGE: TWO COMMENTS. ONE, THE
24 NOMENCLATURE OF PHASE 1 AND PHASE 2 IS VERY BLURRY,
25 MORE BLURRY THAN PEOPLE WOULD WANT TO THINK, AND

1 PARTICULARLY WHEN IT COMES TO STEM CELL RESEARCH
2 WHERE, ADMITTEDLY, YOU CAN HARDLY EVER DO A STUDY IN
3 NO MORE HEALTHY VOLUNTEERS. WE JUST DON'T DO THAT.
4 YOU IMMEDIATELY END UP IN THOSE 1B, 2A, 2, WHATEVER
5 PEOPLE DECIDE. I THINK WE SHOULD FORGET TRYING TO
6 ARGUE THE POINT OF PHASE 1 VERSUS PHASE 2.

7 SECOND, WHEN IT COMES TO THESE CAPS, I
8 THINK THEY ARE VERY GENEROUS. IF THESE APPLICATIONS
9 ARE SO MERITORIOUS, I WOULD BE SURPRISED THAT THEY
10 CANNOT FIND ANY EXTRA FUNDING SHOULD THEY NEED THAT.
11 BUT THAT IN ITSELF, AS WE DISCUSSED THIS MORNING,
12 VERY GENEROUS. SO I'M IN FAVOR OF THAT. I THINK
13 IT'S CLEAR AND SIMPLE AND LOGICAL TO ME.

14 DR. STEWARD: MAYBE JEFF MADE A MOTION,
15 THAT THIS ONE NEEDS TO GO BACK TO THE SCIENCE
16 SUBCOMMITTEE AND THE IP SUBCOMMITTEE. IF YOU DID, I
17 SECOND THAT MOTION.

18 MR. SHEEHY: I THINK THAT'S WHAT I DID.

19 DR. STEWARD: OKAY.

20 CHAIRMAN THOMAS: IT'S BEEN MOVED AND
21 SECONDED IN A RATHER CLEVER FASHION TO SEND THIS
22 PARTICULAR ITEM BACK TO THE SCIENCE SUBCOMMITTEE.
23 JAMES, DO WE NEED A ROLL CALL VOTE ON THAT? YES.
24 OKAY. IS THERE DISCUSSION ON THE MOTION?

25 MR. TORRES: YES. WHAT'S THE TIME

1 SENSITIVITY ISSUE HERE, OR IS THERE ONE?

2 DR. SAMBRANO: I DON'T KNOW THAT IT IS.

3 WE PRESENTED A MODEL BASED ON --

4 MR. TORRES: SO REFERRAL BACK TO THE
5 SUBCOMMITTEE WOULDN'T HAVE THAT MUCH OF AN IMPACT?

6 DR. SAMBRANO: SO THE IMPLEMENTATION OF
7 THESE WOULD BE FOR APPLICATIONS THAT WOULD BE COMING
8 TO US IN APRIL, ASSUMING THERE WERE APPROVAL TODAY.
9 SO IT WOULD DELAY THAT DEPENDING ON WHEN THE SCIENCE
10 SUBCOMMITTEE AND WHEN IT COMES BACK TO THE BOARD FOR
11 CONSIDERATION.

12 MR. TORRES: WHATEVER WE DO ON THIS ISSUE,
13 THAT WOULDN'T PREVENT APPLICATIONS FROM COMING IN.

14 DR. SAMBRANO: IT WOULD NOT PREVENT
15 APPLICATIONS FROM COMING IN AT ALL.

16 CHAIRMAN THOMAS: OKAY. SO FURTHER
17 DISCUSSION ON THE MOTION?

18 MS. WINOKUR: WE NEED TO GIVE ADDITIONAL
19 INFORMATION ON PERSONNEL TO THE SUBCOMMITTEE.

20 CHAIRMAN THOMAS: SORRY, DIANE. I THINK I
21 MISSED A WORD THERE. COULD YOU REPEAT THAT PLEASE?

22 MS. WINOKUR: I'M JUST CONCERNED THAT THE
23 SUBCOMMITTEE WON'T HAVE ADDITIONAL INFORMATION OR
24 MEMBERSHIP IT HAD BEFORE, AND WE'LL BE AT A LOSS TO
25 COME UP WITH SOME OTHER PROPOSAL OR TO BE

1 COMFORTABLE WITH THIS ONE.

2 CHAIRMAN THOMAS: THERE WAS A BIT OF A
3 TECHNICAL ISSUE THERE, DIANE. I THINK YOU SAID
4 YOU'RE CONCERNED THAT BACK AT THE SCIENCE
5 SUBCOMMITTEE WE MIGHT NOT HAVE ADEQUATE INFORMATION
6 TO BASE A DECISION ON. IS THAT WHAT YOU SAID?

7 MS. WINOKUR: DIFFERENT INFORMATION THAN
8 WAS HAD WHEN IT FIRST CAME TO THE SUBCOMMITTEE.

9 CHAIRMAN THOMAS: DR. SAMBRANO, COULD YOU
10 PERHAPS COMMENT IN TERMS OF ADDITIONAL DATA THAT
11 MIGHT BE BROUGHT TO BEAR HERE?

12 DR. SAMBRANO: WELL, OUR ATTEMPT WAS TO
13 PROVIDE AS MUCH AS WE COULD IN TERMS OF HOW WE
14 DERIVED THESE NUMBERS. SO WE PROVIDED THE SPECIFIC
15 GRANTS THAT HAVE BEEN FUNDED THAT WE USED ACROSS ALL
16 OF THESE STAGES OF DEVELOPMENT ALONG WITH THE
17 AMOUNTS THAT WERE APPROVED BY THE BOARD. THESE WERE
18 NOT AMOUNTS THAT ULTIMATELY WENT OUT THE DOOR OR
19 HAVEN'T UP GONE OUT THE DOOR, BUT THIS IS WHAT WAS
20 REQUESTED AND APPROVED. AND THEN THAT DATA IS
21 THERE, AND THE TABLE REPRESENTS HOW IT IS THAT WE
22 USE THE PARAMETERS OF 40 TRIALS AND \$480 MILLION
23 THAT REMAINS IN ORDER TO DERIVE THE APPROXIMATE
24 NUMBER THAT WOULD BE FOR EACH STAGE. THAT'S WHAT WE
25 HAVE. IF THERE IS DATA OR INFORMATION THAT YOU FEEL

1 IS IMPORTANT OR USEFUL TO ADD TO THAT, WE CAN
2 PROVIDE THAT.

3 CHAIRMAN THOMAS: SUPERVISOR SHEEHY -- GO
4 AHEAD, DIANE.

5 MS. WINOKUR: THE ONLY INFORMATION THAT I
6 COULD SUGGEST IS THE BASIS OF THE DISCUSSION WE'VE
7 HAD THIS MORNING. THE SUBCOMMITTEE NEEDS TO HAVE
8 THAT BECAUSE IT CERTAINLY ADDED CONCERNS THAT
9 WEREN'T BEFORE THEM IN THE LAST DISCUSSION.

10 CHAIRMAN THOMAS: THANK YOU.

11 MR. SUPERVISOR, WHAT OTHER DATA WOULD YOU
12 LIKE TO SEE THAT COULD BETTER INFORM THE DISCUSSION
13 ABOVE AND BEYOND WHAT WE'VE HAD ALREADY?

14 MR. SHEEHY: I WOULD LIKE TO -- WE'RE KIND
15 OF STUCK IN A CIRCLE HERE. I'M NOT SURE I AGREE
16 WITH CAPS BECAUSE WHAT I'M SEEING IS THAT WE'VE
17 AVERAGED OUT WHAT WE'VE DONE IN THE PAST, AND WE
18 BASED IT, IT SEEMS, ON OUR ABILITY TO FUND 40
19 CLINICAL TRIALS, NEITHER OF WHICH ARE ADEQUATE
20 INPUTS FOR ME TO THAT MAKE DECISION WHEN WE
21 INTENTIONALLY CAME IN AND DECIDED NOT TO DO CAPS.
22 AND WE DO DO THE BUDGET REVIEW. SO WHAT MIGHT BE
23 INTERESTING TO UNDERSTAND IS WHAT DEFICIENCIES WE
24 HAVE IN BUDGET REVIEW PRIOR TO REVIEW OF THE GRANTS.
25 THAT SEEMS TO ME TO BE THE PLACE WHERE I COULD USE

1 MORE INFORMATION.

2 DR. MILLS: I'D JUST LIKE TO, AGAIN, POINT
3 OUT THAT THE BUDGET REVIEW ONLY LOOKS AT WHETHER OR
4 NOT THE PROPOSED TRIAL IS APPROPRIATELY BUDGETED.
5 IT DOESN'T LOOK AT WHETHER OR NOT THE PROPOSED TRIAL
6 IN ITSELF IS APPROPRIATE. SO YOU CAN RUN A \$40
7 MILLION PHASE 1 TRIAL. IT'S POSSIBLE. THERE'S
8 CRO'S THAT WILL TAKE YOUR MONEY FOR IT AND CONTRACT
9 MANUFACTURING ORGANIZATIONS. AND IF YOU PUT ALL OF
10 THAT TOGETHER AND YOU RAN A HUNDRED-PATIENT PHASE 1
11 CELL THERAPY TRIAL AND YOU PUT IT BEFORE OUR BUDGET
12 REVIEW, OUR BUDGET REVIEW WILL ONLY BE ABLE TO SAY
13 IT'S FAIRLY COSTED OUT FOR THAT. BUT THE BUDGET
14 REVIEW DOESN'T SAY BUT IT'S ABSURD TO SPEND A \$100
15 MILLION ON A PHASE 1 TRIAL.

16 DR. STEWARD: RANDY, MAYBE THAT'S THE
17 POINT. MAYBE THE BUDGET REVIEW NEEDS TO BE ACTUALLY
18 WHAT YOU'RE TALKING ABOUT. IS THAT SOMETHING THAT
19 CIRM STAFF COULD DO? I THINK THAT WOULD BE WELCOME,
20 TO REALLY EXACTLY ASK THE KINDS OF QUESTIONS WE WERE
21 TALKING ABOUT ON SOME OF THE ONES THIS MORNING.
22 DOES IT REALLY COST, IS IT REALLY WORTH 12 MILLION
23 BUCKS TO CARRY OUT THIS TRIAL? I DON'T KNOW. IS
24 THAT SOMETHING THAT WOULD BE POSSIBLE TO DO?

25 DR. MILLS: THAT FEELS LIKE REVIEW

1 CRITERIA.

2 DR. STEWARD: OKAY. COULD IT BE DONE AT
3 THE LEVEL OF THE GWG?

4 MR. TORRES: WE JUST DID IT TODAY, AS
5 STEVE POINTED OUT. WE APPROVED 12 MILLION WITHOUT A
6 CAP.

7 MR. SHEEHY: CAN I JUST SUGGEST, SINCE WE
8 HAVE A MOTION ON THE FLOOR, CLEARLY WE NEED TO TALK
9 ABOUT THIS MORE. I DON'T THINK WE'RE GOING TO GET
10 TO A POINT WHERE WE'RE GOING TO APPROVE THIS TODAY.
11 SO THE MAIN THING IS JUST TO GET US INTO ANOTHER
12 VENUE SO WE CAN HAVE A DEEPER DISCUSSION.

13 DR. JUELSGAARD: CAN I SAY THIS ONE QUICK
14 THING? IF THIS MOTION GETS APPROVED, THIS IS AT
15 LEAST WHAT I WOULD LIKE TO SEE, AND THIS IS WHAT FOR
16 ME STARTED THIS WHOLE CONVERSATION. THAT IS, HOW
17 MANY PHASE 1, PHASE 1/2A PROJECTS, APPLICATIONS HAVE
18 WE PREVIOUSLY FUNDED? WHAT WERE THEY ABOUT THAT
19 WOULD NOT HAVE MET THIS CRITERIA OF \$9 MILLION?
20 BESIDES THE SCID ONE TODAY, WHAT HAVE THE PROJECTS
21 IN THE PAST BEEN BECAUSE IF THESE ARE HIGH-VALUE
22 PROJECTS THAT ARE JUST REALLY EXPENSIVE TO DO, THEN
23 THAT SORT OF, FOR ME, UNDERCUTS THE NOTION OF
24 LIMITING US TO 9 MILLION. SO HOPEFULLY THAT
25 INFORMATION IS FAIRLY STRAIGHTFORWARD TO FIND.

1 CHAIRMAN THOMAS: THANK YOU, AGAIN, FOR
2 VERY ROBUST DISCUSSION. MARIA, WILL YOU PLEASE CALL
3 THE ROLL.

4 MS. BONNEVILLE: GEORGE BLUMENTHAL.

5 DR. BLUMENTHAL: YES.

6 MS. BONNEVILLE: LINDA BOXER.

7 DR. BOXER: YES.

8 MS. BONNEVILLE: KEN BURTIS.

9 DR. BURTIS: YES.

10 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

11 DR. DULIEGE: NO.

12 MS. BONNEVILLE: HOWARD FEDEROFF.

13 ELIZABETH FINI.

14 DR. FINI: I'M SORRY. I DON'T KNOW WHAT
15 WE'RE VOTING ON.

16 CHAIRMAN THOMAS: TO SEND THIS BACK.

17 DR. FINI: YES, DEFER.

18 MS. BONNEVILLE: JUDY GASSON. DAVID
19 HIGGINS.

20 DR. HIGGINS: YES.

21 MS. BONNEVILLE: STEPHEN JUELSGAARD.

22 MR. JUELSGAARD: YES.

23 MS. BONNEVILLE: KATHY LAPORTE.

24 DR. LAPORTE: JUST TO CLARIFY, SO WE'RE
25 JUST VOTING TO DEFER.

1 CHAIRMAN THOMAS: YES.
2 MS. BONNEVILLE: YES, THIS PARTICULAR
3 ITEM.
4 MS. LAPORTE: YES.
5 MS. BONNEVILLE: SHLOMO MELMED.
6 DR. MELMED: YES.
7 MS. BONNEVILLE: ADRIANA PADILLA.
8 DR. PADILLA: YES.
9 MS. BONNEVILLE: JOE PANETTA.
10 MR. PANETTA: YES.
11 MS. BONNEVILLE: FRANCISCO PRIETO.
12 DR. PRIETO: AYE.
13 MS. BONNEVILLE: ROBERT QUINT.
14 DR. QUINT: YES.
15 MS. BONNEVILLE: AL ROWLETT.
16 MR. ROWLETT: YES.
17 MS. BONNEVILLE: JEFF SHEEHY.
18 MR. SHEEHY: YES.
19 MS. BONNEVILLE: OSWALD STEWARD.
20 DR. STEWARD: YES.
21 MS. BONNEVILLE: JONATHAN THOMAS.
22 CHAIRMAN THOMAS: YES.
23 MS. BONNEVILLE: ART TORRES.
24 MR. TORRES: AYE.
25 MS. BONNEVILLE: KRISTINA VUORI.

1 DR. VUORI: YES.

2 MS. BONNEVILLE: DIANE WINOKUR.

3 MS. WINOKUR: YES.

4 MS. BONNEVILLE: MOTION CARRIES.

5 CHAIRMAN THOMAS: THANK YOU. DR.

6 SAMBRANO, HAVE YOU CONCLUDED YOUR REVIEW HERE?

7 DR. SAMBRANO: I HAVE NOT UNFORTUNATELY,
8 BUT THERE'S JUST ONE MORE. AND SO THIS LAST ITEM IS
9 RELATED TO FUNDABLE ACTIVITIES. AND SO HERE WE WANT
10 TO PROVIDE FLEXIBILITY ON A COUPLE OF ITEMS. SO FOR
11 CLIN1 AND 2 IS TO PERMIT FUNDING FOR NECESSARY
12 MANUFACTURING ACTIVITIES FOR A FOLLOW-ON TRIAL. SO
13 SOMETIMES THERE IS A LOT PRODUCED, SAY, DURING
14 IND-ENABLING THAT CAN BE UTILIZED FOR THE
15 IND-ENABLING ACTIVITIES AS WELL AS PHASE 1. WE WANT
16 TO ALLOW IT, ASSUMING IT'S APPROPRIATE TO DO SO, AND
17 HAVE THAT FLEXIBILITY.

18 AND THEN, SECONDLY, FOR CLIN2S, TO PERMIT
19 FUNDING FOR COMPARABILITY STUDIES AND COMMERCIAL
20 DEVELOPMENT ACTIVITIES, WHICH ARE ACTIVITIES
21 SOMETIMES REQUIRED BY THE FDA IN ORDER TO ADVANCE
22 THE PROJECT. AND WE WANT TO PERMIT APPLICANTS TO
23 REQUEST FUNDING FOR THESE ITEMS.

24 AND THAT WAS THE LAST ONE. AND SO WE ARE
25 ASKING FOR APPROVAL ON THESE AMENDMENTS.

1 CHAIRMAN THOMAS: OKAY. WITH THE
2 EXCEPTION OF THE ONE WHICH HAS BEEN SENT BACK TO THE
3 SCIENCE SUBCOMMITTEE, DO I HEAR A MOTION TO APPROVE?

4 MS. BONNEVILLE: AND THE CHANGE.

5 CHAIRMAN THOMAS: AND THE CHANGE, CORRECT.
6 DO I HEAR A MOTION TO APPROVE?

7 DR. STEWARD: SO MOVED.

8 CHAIRMAN THOMAS: MOVED BY DR. STEWARD,
9 SECONDED BY?

10 DR. DULIEGE: SECOND.

11 CHAIRMAN THOMAS: FURTHER DISCUSSION BY
12 MEMBERS OF THE BOARD ON THIS MOTION? HEARING NONE,
13 MEMBERS OF THE PUBLIC?

14 MR. REED: GOING BACK TO THE FIRST ONE, IT
15 SOUNDS LIKE A COMPROMISE HAS BEEN REACHED, BUT I
16 WANT TO BE SURE I UNDERSTOOD, PARTICULARLY SINCE
17 THERE WAS NO VOTE ON IT. IN THE EVENT DESCRIBED, IF
18 SOMEONE IS ABOUT TO HAVE HIS OR HER FUNDING STOPPED
19 BECAUSE OF A MISREPRESENTATION ON THEIR PART OR
20 OTHER CONTRACTUAL FAILURE, THIS INFORMATION WILL BE
21 BROUGHT TO THE BOARD. IF THE BOARD AGREES WITH THE
22 CHARGES AGAINST THE SCIENTIST, THEY DO NOTHING AND
23 IT STANDS. IF, HOWEVER, THEY OBJECT, THE MATTER
24 WILL BE DISCUSSED AND THE FINAL DECISION WILL STILL
25 BE IN THE BOARD'S HANDS; IS THAT CORRECT? THIS IS

1 FOR JAMES.

2 MR. HARRISON: ROUGHLY. JUST TO BE CLEAR,
3 THOUGH, WE'RE NOT IN MOST CASES TALKING ABOUT
4 MISREPRESENTATIONS. WE'RE TALKING ABOUT
5 MISUNDERSTANDINGS WHERE THE APPLICANT PROVIDED
6 INFORMATION, WE UNDERSTOOD IT DIFFERENTLY THAN THE
7 APPLICANT INTENDED, AND WE DETERMINED, BASED ON WHAT
8 WE LEARNED THROUGH THE JUST-IN-TIME PROCESS, THAT,
9 IN FACT, THE APPLICANT WAS NOT ELIGIBLE. SO UNDER
10 THOSE CIRCUMSTANCES, WE WOULD PUT AN ITEM ON THE
11 AGENDA, AND THE BOARD WOULD HAVE THE OPPORTUNITY TO
12 TAKE ACTION IF IT CHOSE. IF NOT, THE CIRM TEAM
13 DETERMINATION THAT THE APPLICANT WAS NOT ELIGIBLE
14 WOULD HOLD.

15 MR. REED: THANK YOU.

16 CHAIRMAN THOMAS: THANK YOU, MR. HARRISON.
17 VERY ELOQUENTLY PUT, AS ALWAYS. ANY OTHER PUBLIC
18 COMMENT ON THE MOTION? HEARING NONE, MARIA, PLEASE
19 TAKE THE ROLL.

20 MS. BONNEVILLE: GEORGE BLUMENTHAL.

21 DR. BLUMENTHAL: YES.

22 MS. BONNEVILLE: LINDA BOXER.

23 DR. BOXER: NO.

24 MS. BONNEVILLE: KEN BURTIS.

25 DR. BURTIS: YES.

1 MS. BONNEVILLE: ANNE-MARIE DULIEGE.
2 DR. DULIEGE: YES.
3 MS. BONNEVILLE: ELIZABETH FINI.
4 DR. FINI: YES.
5 MS. BONNEVILLE: DAVID HIGGINS.
6 DR. HIGGINS: YES.
7 MS. BONNEVILLE: STEPHEN JUELSGAARD.
8 MR. JUELSGAARD: YES.
9 MS. BONNEVILLE: KATHY LAPORTE.
10 DR. LAPORTE: YES.
11 MS. BONNEVILLE: SHLOMO MELMED.
12 DR. MELMED: YES.
13 MS. BONNEVILLE: ADRIANA PADILLA.
14 DR. PADILLA: YES.
15 MS. BONNEVILLE: JOE PANETTA.
16 MR. PANETTA: YES.
17 MS. BONNEVILLE: FRANCISCO PRIETO.
18 DR. PRIETO: AYE.
19 MS. BONNEVILLE: ROBERT QUINT.
20 DR. QUINT: YES.
21 MS. BONNEVILLE: AL ROWLETT.
22 MR. ROWLETT: YES.
23 MS. BONNEVILLE: JEFF SHEEHY.
24 MR. SHEEHY: YES.
25 MS. BONNEVILLE: OSWALD STEWARD.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

DR. STEWARD: YES.

MS. BONNEVILLE: JONATHAN THOMAS.

CHAIRMAN THOMAS: YES.

MS. BONNEVILLE: ART TORRES.

MR. TORRES: AYE.

MS. BONNEVILLE: KRISTINA VUORI.

DR. VUORI: YES.

MS. BONNEVILLE: DIANE WINOKUR.

MS. WINOKUR: YES.

MS. BONNEVILLE: MOTION CARRIES.

CHAIRMAN THOMAS: OKAY. ON TO ITEM NO.

11. THIS SHOULDN'T BE TERRIBLY LONG. I KNOW MR. JUELSGAARD WILL BE SUCCINCT ON THIS ITEM.

CONSIDERATION OF INITIATION OF PROCESS TO ADOPT NEW INTELLECTUAL PROPERTY RULES FOR NEW AWARDS. GOING TO HAVE MR. JUELSGAARD SAY SOME INTRODUCTORY REMARKS AND THEN MOVE TO MR. TOCHER FOR DISCUSSION.

DR. JUELSGAARD: THIS WILL BE VERY BRIEF BECAUSE SCOTT'S ACTUALLY THE MORE IMPORTANT PERSON TO TALK HERE. BUT AWHILE BACK SCOTT APPROACHED ME AND JEFF SHEEHY REGARDING SOME OF OUR EXISTING REGULATIONS DEALING WITH INTELLECTUAL PROPERTY AND REVENUE, HOPEFULLY, TO BE GENERATED BY THE STATE FROM INVENTIONS THAT WERE MADE ALONG THE WAY OR DATA THAT WAS USED.

1 AND I REMEMBER JOINING THIS ORGANIZATION
2 AND LOOKING AT WHAT WE HAD AT THE TIME, AND IT WAS
3 ONE OF THE MOST CONVOLUTED SCHEMES THAT I HAD EVER
4 SEEN IN TERMS OF TRYING TO GAUGE RESPONSIBILITY FOR
5 PROJECTS AND WHERE TO ASSIGN PAYMENT FOR VALUE
6 RECEIVED. I WANT TO APPLAUD SCOTT FOR WHAT HE'S
7 ABOUT TO PRESENT BECAUSE IT'S DESIGNED TO REALLY TRY
8 AND SIMPLIFY AND CLARIFY HOW WE APPROACH THIS ISSUE.
9 SO THANK YOU, SCOTT.

10 MR. TOCHER: THANK YOU, DR. JUELSGAARD.
11 SO AS DR. JUELSGAARD JUST SAID, WE'VE TAKEN A LOOK,
12 PER RANDY'S CHARGE, ACROSS THE ORGANIZATION TO FIND
13 WAYS TO STREAMLINE WHAT WE DO AND IMPROVE IT IN
14 ORDER TO BETTER ACHIEVE OUR MISSION. AND OBVIOUSLY
15 YOUR AGENDAS FOR THE PAST COUPLE OF YEARS HAVE BEEN
16 FILLED WITH THOSE PROPOSALS AND THOSE EFFORTS. SO
17 ON THE LEGAL TEAM, I AND JAMES HARRISON AND BEN
18 HUANG LOOKED AT SORT OF WHAT WE SPENT A LOT OF TIME
19 ON. ONE OF THOSE THINGS IS OUR IP REGULATIONS, AND
20 WE THOUGHT THOSE WOULD BE RIPE FOR ANALYSIS TO HOW
21 WE COULD IMPROVE THEM TO BETTER ACHIEVE CIRM'S
22 MISSION. SO WE HAVE A FEW IDEAS THAT WE'D LIKE TO
23 SHARE WITH YOU TODAY.

24 SO, FIRST, WHEN WE CONSIDER RULES
25 GOVERNING INTELLECTUAL PROPERTY, WE START WITH THE

1 CHARGE CONTAINED HERE, WHICH IS IN PROPOSITION 71.
2 IT DOESN'T CONTAIN ANY SPECIFICS ABOUT WHAT OUR IP
3 POLICY SHOULD CONTAIN, BUT DOES SET UP SORT OF THE
4 BALANCING TEST, WHICH IS TO BALANCE THE OPPORTUNITY
5 FOR THE STATE TO BENEFIT FROM ROYALTIES AND REVENUES
6 FROM OUR IP VERSUS THE NEED TO AVOID UNREASONABLY
7 HINDERING THE ESSENTIAL RESEARCH. AND SO IT'S THIS
8 BALANCING TEST THAT HAS GUIDED OUR AGENCY'S
9 DEVELOPMENT OF OUR IP POLICIES SINCE 2005 AND THEIR
10 PERIODIC CALIBRATIONS SINCE.

11 SO BEFORE WE GET, HOWEVER, TO THE PROPOSED
12 REVISIONS, I JUST WANT TO REMIND YOU OF A FEW OF THE
13 VERY BASIC COMPONENTS AND PRINCIPLES OF OUR
14 REGULATIONS. FIRST, CIRM DOESN'T TAKE ANY OWNERSHIP
15 OVER THE IP. LIKE THE FEDERAL GOVERNMENT, CIRM
16 BELIEVES THAT OUR AWARDEES ARE MORE INCENTIVIZED TO
17 EXPLOIT IP WHEN THEY OWN THEIR DISCOVERIES.

18 SECOND, ALTHOUGH WE WON'T OWN THE IP, WE
19 DO WANT TO MAKE SURE THAT OUR AWARDEES TAKE
20 REASONABLE STEPS TO PUSH THAT IP FORWARD. SO WE
21 MAKE THAT A REQUIREMENT IN OUR REGULATIONS.

22 THIRD, WHILE WE DON'T OBLIGE OUR AWARDEES
23 TO PUBLISH, WE DO HAVE A VERY COMMONLY ACCEPTED
24 REQUIREMENT THAT, IF THEY DO, THEY SHOULD MAKE THOSE
25 MATERIALS AVAILABLE FOR RESEARCHERS IN CALIFORNIA.

1 AND, FINALLY, WHILE THE VAST BULK OF THE
2 RETURN TO THE STATE AS A RESULT OF OUR INVESTMENTS
3 WILL BE IN THE FORM OF, OF COURSE, REDUCED
4 HEALTHCARE COSTS, INCREASED PRODUCTIVITY, AND SUCH
5 RESULTING FROM THERAPIES AND CURES, WE HAVE IMPOSED
6 A DIRECT RETURN TO THE GENERAL FUND THROUGH OUR
7 PRICING AND ACCESS PROVISIONS AS WELL AS REVENUE
8 SHARING. AND DIRECT REVENUE SHARING WITH THE STATE
9 GENERAL FUND IS WHAT WE WANT TO FOCUS ON IN THESE
10 REVISIONS.

11 SO TO APPRECIATE HOW OUR FINANCIAL RETURN
12 WORKS AND WHAT WE'D LIKE YOU TO CONSIDER TODAY, IT'S
13 IMPORTANT TO HAVE JUST A VERY GENERAL UNDERSTANDING
14 OF THE WAYS THAT OUR REVENUE SHARING REQUIREMENTS
15 WORK, WHICH WILL BE COVERED IN THIS SLIDE AND THE
16 NEXT.

17 SO WHEN WE TALK ABOUT REVENUE SHARING WITH
18 OUR AWARDEES, WE PRIMARILY TALK ABOUT EITHER OF TWO
19 TYPES: LICENSING REVENUE OR COMMERCIAL REVENUE.
20 LICENSING REVENUE IS A CUT THAT THE STATE GETS WHEN
21 OUR AWARDEE LICENSES TECHNOLOGIES TO THIRD PARTIES
22 DOWNSTREAM AND LATER RECEIVES REVENUE AS A RESULT OF
23 THOSE LICENSES. AND IT'S IMPORTANT TO NOTE THAT THE
24 LICENSING REVENUE HERE THAT THE STATE WILL COLLECT
25 IS NEVER COLLECTED FROM THAT THIRD PARTY. IT'S

1 COLLECTED FROM OUR AWARDEE. HOW MUCH OUR AWARDEE
2 MUST SHARE DEPENDS ON A FORMULA THAT CONSIDERS HOW
3 GREAT CIRM'S INVOLVEMENT WAS IN THE PROJECT DURING
4 THE PROJECT PERIOD OF THE GRANT, AND THE SHARE WILL
5 BE EITHER 15 OR 25 PERCENT. HOWEVER, IN PRACTICAL
6 EFFECT, THE ONLY TYPE OF AWARDEE THAT IS ESSENTIALLY
7 SUBJECT TO THIS LICENSING REVENUE IS A NONPROFIT
8 AWARDEE. FOR-PROFIT AWARDEES ARE LARGELY TREATED
9 DIFFERENTLY AS YOU WILL SEE NEXT.

10 SO THIS IS THE SECOND TYPE OF REVENUE
11 SHARING, COMMERCIAL REVENUE. HERE, IF OUR AWARDEE
12 LICENSES OR IT COULD BE THE CASE THAT THEY ACTUALLY
13 SELF-COMMERCIALIZE A THERAPY OR DRUG OR SUCCESSFUL
14 PRODUCT, THEN WE IMPOSE A ROYALTY ON THE NET
15 COMMERCIAL REVENUES ACCORDING TO THE FORMULA HERE.
16 WHAT'S IMPORTANT TO UNDERSTAND ABOUT THIS NET
17 COMMERCIAL REVENUE IS THAT IT ONLY APPLIES TO
18 FOR-PROFIT AWARDEES. SO, IN ESSENCE, AND, AGAIN,
19 THIS IS JUST A GENERAL WAY OF THINKING ABOUT IT, IS
20 THAT IF YOU'RE A NONPROFIT AWARDEE, YOU WILL SHARE
21 LICENSING REVENUE WITH THE STATE. IF YOU'RE A
22 FOR-PROFIT AWARDEE, YOU WILL SHARE IF YOU
23 COMMERCIALIZE OR YOUR DOWNSTREAM COMMERCIALIZING
24 ENTITY WILL SHARE WITH THE STATE A FRACTION OF ITS
25 NET COMMERCIAL REVENUES.

1 SO THAT BRINGS ME TO THE GOALS OF THE IP
2 REVISION ASSESSMENT THAT WE'VE MADE. AND AS WE'VE
3 DONE WITH OTHER POLICIES AND RULES THAT WE'VE
4 BROUGHT TO YOU SINCE RANDY HAS COME ON BOARD, WE
5 WANT TO ENSURE THAT OUR REVENUE SHARING RULES ARE
6 CLEAR AND SELF-EXECUTING WHERE POSSIBLE. IT
7 SHOULDN'T DEPEND ON WHOM YOU TALK TO DETERMINE HOW
8 THESE RULES OPERATE. AND PART OF MAKING THAT
9 POSSIBLE IS ENSURING THAT THE RULES ARE OBJECTIVE
10 INSTEAD OF SUBJECTIVE WHERE POSSIBLE. SO WE SHOULD
11 EXPLICITLY STATE AN EXPECTED OUTCOME AS OPPOSED TO
12 TRYING TO DESCRIBE A TYPE OF BEHAVIOR THAT WE'RE
13 LOOKING TOWARD; SUCH AS, EXERCISING REASONABLE
14 EFFORTS TO DO SOMETHING.

15 AND TIME AND TIME AGAIN, WE HEARD
16 THROUGHOUT THE DEVELOPMENT, EVEN OF OUR POLICIES
17 EARLY ON, WE HEARD CLEARLY FROM INDUSTRY THAT THEY
18 WERE CONCERNED MORE SO THAN -- SORRY -- THEY WERE
19 CONCERNED MORE ABOUT THE CLARITY AND THE
20 PREDICTABILITY OF OUR STANDARDS AND THEIR
21 OBLIGATIONS THAN THEY WERE ABOUT ANY GIVEN PRICE
22 POINT THAT WE SET ON A ROYALTY RATE, FOR INSTANCE.
23 SO THEY REALLY PRIZE CLARITY AND THE ABILITY TO
24 PREDICT EARLY ON IN THE PROCESS WHAT THE COST OF
25 CIRM FUNDING WOULD BE, AND THAT THEY COULD TAKE AND

1 HAVE CONFIDENCE IN THOSE CALCULATIONS.

2 AND, FINALLY, WE KNOW WE'VE GOT IT ABOUT
3 RIGHT WHEN CIRM TEAM RESOURCES ARE FOCUSED ON OUR
4 LARGER MISSION AS OPPOSED TO EXPENDING OUR EFFORTS
5 TRYING TO INTERPRET OUR OWN RULES AND DETERMINE HOW
6 THEY APPLY IN A CASE-BY-CASE BASIS.

7 SO MEASURED AGAINST THOSE STANDARDS, WHAT
8 HAS OUR EXPERIENCE BEEN? FIRST, A FUNDAMENTAL
9 PREMISE OF OUR IP REGULATIONS SINCE THE BEGINNING
10 HAS BEEN THE NOTION THAT THE STATE'S INTERESTS ARE
11 ALIGNED WITH THOSE OF OUR AWARDEES, THAT THEY'LL
12 MAKE THE BEST DEAL AND, IN TURN, THE STATE WILL
13 SHARE IN THAT REWARD. IN PRACTICE, HOWEVER, THIS
14 HAS NOT ALWAYS BEEN THE CASE. AND IT'S ESPECIALLY
15 TRUE IN LIGHT OF THE FACT THAT SOME GRANTEES DON'T
16 TYPICALLY LICENSE DATA WHICH IS UNFORTUNATELY
17 USUALLY THE IP THAT'S GENERATED IN THE LARGE, LATE
18 CLINICAL STAGE AWARDS THAT CIRM MAKES. AS I
19 DEMONSTRATED, WHERE THERE'S NO LICENSE, IF YOU ARE A
20 NONPROFIT AWARDEE, THEN THERE'S NO REVENUE TO THE
21 STATE. MOREOVER, A LICENSE CAN BE FURTHER AVOIDED
22 IF THE DATA OR OTHER INFORMATION IS MADE PUBLICLY
23 AVAILABLE.

24 AND, FINALLY, THE CURRENT REQUIREMENT TO
25 MAKE REASONABLE EFFORTS TO NEGOTIATE LICENSES CAN

1 LEAD TO DISAGREEMENT AMONG OUR AWARDEES AND CIRM AS
2 TO WHAT THOSE EFFORTS SHOULD BE AND WHAT THE RESULTS
3 SHOULD BE. AND WHEN LICENSING REVENUE IS DUE,
4 CALCULATING THE AMOUNT CAN BE PROBLEMATIC WHEN
5 DETERMINING THE EXTENT OF THIRD-PARTY PARTICIPATION,
6 FOR INSTANCE, WHICH CAN ALTER THE AMOUNT THAT THE
7 STATE IS DUE. AND ALSO AS WE'VE DISCUSSED, THE
8 SCOPE OF PAYMENTS AN AWARDEE RECEIVES THAT MAY BE
9 SUBJECT TO SHARE WITH THE STATE DIFFER BASED ON THE
10 STATUS OF THE AWARDEE, WHICH CAN ALSO RESULT IN
11 VASTLY DIFFERENT RETURNS TO THE STATE.

12 AND THEN, FINALLY, APPLYING THE CURRENT
13 RULES TO THE MANY COMPLEX DRUG DEVELOPMENT SCENARIOS
14 CAN LEAD TO REASONABLE DISAGREEMENT, WHICH CREATES
15 UNCERTAINTY REGARDING OUR AWARDEES' OBLIGATIONS.
16 NOT SURPRISINGLY, BECAUSE OF THIS COMPLEXITY OF THE
17 CURRENT SYSTEM, SIGNIFICANT ADMINISTRATIVE TIME IS
18 SPENT INTERPRETING, EXPLAINING, AND ENFORCING OUR IP
19 TERMS. AND BECAUSE THIS INTERPRETATION CAN BE
20 SUBJECTIVE, SUCH AS WHAT I MENTIONED JUST A MOMENT
21 AGO, THE EFFORTS TO MAKE REASONABLE EFFORTS TO
22 LICENSE, THESE RULES CAN BE DIFFICULT FOR OUR
23 AWARDEES TO PENCIL OUT IN ADVANCE AND DETERMINE WHAT
24 THE COST IS PRIOR TO ACCEPTING AN AWARD. INDEED,
25 EVEN OUR EFFORTS TO OBTAIN COMPLIANCE CAN CAUSE

1 DELAYS IN GETTING PROJECTS MOVING FORWARD AT THE
2 OUTSET.

3 AND THEN, FINALLY, AS I DISCUSSED, OUR
4 CURRENT SCHEME TREATS FOR-PROFITS AND
5 NOT-FOR-PROFITS DIFFERENTLY. WHEN THE CONCEPT OF
6 COMMERCIALIZING REVENUE WAS FIRST ADOPTED A COUPLE
7 OF YEARS AGO, I THINK THERE WAS NATURALLY SOME
8 UNCERTAINTY AS TO HOW THAT SYSTEM WOULD OPERATE AND
9 HOW IT WOULD BE RECEIVED BY THE PRIVATE SECTOR. BUT
10 BASED ON THE LAST COUPLE OF YEARS OF EXPERIENCE, I
11 THINK THE FOR-PROFIT SECTOR HAS LARGELY EMBRACED THE
12 CONCEPT OF COMMERCIAL REVENUE, AND IT DOES NOT
13 APPEAR TO BE A SIGNIFICANT IMPEDIMENT TO COMMERCIAL
14 PARTICIPATION IN OUR PROGRAMS OR IN TAKING UP OUR
15 CIRM-FUNDED IP. THUS, WE FEEL ACTUALLY THE TIME IS
16 RIPE NOW FOR TREATING OUR AWARDEES IN THE SAME
17 FASHION.

18 TO ILLUSTRATE THE EFFECT OF THIS CURRENT
19 SCHEME, THIS EXAMPLE ON THE SLIDE SHOWS THE
20 DIFFERENCE TO THE STATE OF PHARMA LICENSES CIRM
21 TECHNOLOGIES FROM A NOT-FOR-PROFIT AWARDEE VERSUS
22 LICENSING FROM A FOR-PROFIT AWARDEE. THE UPSIDE TO
23 THE STATE IN HARMONIZING THIS TREATMENT CAN BE
24 SIGNIFICANT.

25 SO WITH THESE CHALLENGES, CIRM IS

1 PROPOSING THAT WE 2.0 OR 2.8 OUR REGULATIONS. SO IN
2 ADDITION TO MAKING SOME REVISIONS TO OUR REPORTING
3 REQUIREMENTS AND OTHER ASPECTS OF OUR IP
4 REGULATIONS, WE WANT TO FOCUS PRIMARILY ON THE
5 FOLLOWING REVISIONS. SO, FIRST, WE WANT TO
6 ELIMINATE THE DISPARATE TREATMENT OF AWARDEES AND
7 TREAT ALL AWARDEES ALIKE. AND, SECONDLY, WE PROPOSE
8 TO ELIMINATE THE CONCEPT ENTIRELY OF LICENSING
9 REVENUE FOR ALL AWARDEES AND, INSTEAD, FOCUS ON
10 COMMERCIAL REVENUE AND COMMERCIAL SUCCESS AND THAT
11 CONCEPT AS CURRENTLY APPLICABLE ONLY TO FOR-PROFIT
12 AWARDEES. IN DOING SO, I WANT TO EMPHASIZE THAT WE
13 DO NOT PROPOSE TO MAKE ANY CHANGES TO OUR CURRENT
14 ACCESS AND PRICING REQUIREMENTS.

15 IN TERMS OF THE EFFECT AND WHAT WE FORESEE
16 AS A RESULT OF THESE REVISIONS, WE BELIEVE THAT
17 ELIMINATING LICENSING REVENUE AND FOCUSING ON
18 COMMERCIAL SUCCESSES WILL OPTIMIZE OUR REMAINING
19 RESOURCES, WHICH WILL ALLOW THE CIRM TEAM TO FOCUS
20 BETTER ON ITS STRATEGIC MISSION. ALSO BY
21 SIMPLIFYING OUR REVENUE SHARING RULES, WE WILL MAKE
22 THEM EASIER TO UNDERSTAND, EXPLAIN, AND ADMINISTER.
23 AND AS A RESULT, WE BELIEVE POTENTIAL APPLICANTS
24 WILL BE MORE ACCURATELY ABLE TO PREDICT THE COST OF
25 CIRM FUNDING AND, THUS, LIKELY MAKE CIRM'S PROGRAMS

1 MORE ATTRACTIVE TO FOLLOW-ON INVESTMENT AND
2 COMMERCIALIZATION.

3 AS DR. JUELSGAARD MENTIONED, LAST MONTH
4 THE IP AND INDUSTRY SUBCOMMITTEE HAD A CHANCE TO
5 EVALUATE THESE PROPOSED REVISIONS AND OFFERED VERY
6 IMPORTANT AND VALUABLE FEEDBACK WHICH WE WILL CARRY
7 FORTH IN THE PROJECT MOVING FORWARD. WITH THE
8 ICOC'S APPROVAL TODAY, WE WOULD MOVE FORWARD WITH
9 THE REGULATORY PROCESS TO PROPOSE, RECEIVE PUBLIC
10 FEEDBACK, REFINE, AND ULTIMATELY ENACT THE
11 REGULATIONS INTO LAW ESSENTIALLY. WE PREDICT THAT
12 PROCESS COULD TAKE ANYWHERE FROM SIX TO POSSIBLY AS
13 LONG AS NINE MONTHS, AND I SUSPECT THAT WE WOULD
14 HAVE PROBABLY ANOTHER IP AND INDUSTRY SUBCOMMITTEE
15 MEETING TOWARD THE END OF THAT PROCESS TO EVALUATE
16 THE FEEDBACK AND EVALUATE FURTHER CHANGES AS WE
17 REFINE THESE PROPOSALS, AND THEN WE WOULD BRING THEM
18 ULTIMATELY BACK TO THE ICOC FOR FINAL APPROVAL. SO
19 I WOULD TURN IT BACK OVER TO STEVE. THANK YOU.

20 DR. JUELSGAARD: I WILL TURN IT OVER TO
21 CHAIRMAN THOMAS.

22 CHAIRMAN THOMAS: THANK YOU, MR. TOCHER.
23 THANK YOU, MR. JUELSGAARD. DO I HEAR A MOTION TO
24 ADOPT THESE PROPOSED AMENDMENTS?

25 DR. JUELSGAARD: SO MOVED.

1 MR. TOCHER: IF I COULD JUST CLARIFY,
2 ACTUALLY THE MOTION WOULD BE TO INITIATE THE PROCESS
3 TO ADOPT THEM.

4 CHAIRMAN THOMAS: GOT IT.

5 DR. JUELSGAARD: SO MOVED.

6 CHAIRMAN THOMAS: THANK YOU FOR
7 CLARIFYING. IS THERE A SECOND?

8 DR. PRIETO: SECOND.

9 CHAIRMAN THOMAS: SECONDED BY DR. PRIETO.
10 DISCUSSION BY MEMBERS OF THE BOARD? COMMENTS FROM
11 MEMBERS OF THE PUBLIC? HEARING NONE, MR. TOCHER,
12 I'D LIKE TO ECHO MR. JUELSGAARD'S COMMENTS, THAT
13 THIS IS VERY GOOD WORK, AND THANK YOU VERY MUCH FOR
14 ALL THE TIME AND EFFORT YOU'VE PUT INTO THIS.

15 MR. TOCHER: AND THAT GOES TO DAN AND
16 JAMES AS WELL.

17 CHAIRMAN THOMAS: THANK YOU. ALL MEMBERS
18 OF THE AUGUST LEGAL TEAM. MARIA, WILL YOU PLEASE
19 CALL THE ROLL.

20 MS. BONNEVILLE: GEORGE BLUMENTHAL.

21 DR. BLUMENTHAL: YES.

22 MS. BONNEVILLE: LINDA BOXER.

23 DR. BOXER: YES.

24 MS. BONNEVILLE: KEN BURTIS.

25 DR. BURTIS: YES.

1 MS. BONNEVILLE: ANNE-MARIE DULIEGE.
2 DR. DULIEGE: YES.
3 MS. BONNEVILLE: ELIZABETH FINI.
4 DR. FINI: YES.
5 MS. BONNEVILLE: DAVID HIGGINS.
6 DR. HIGGINS: YES.
7 MS. BONNEVILLE: STEPHEN JUELSGAARD.
8 MR. JUELSGAARD: YES.
9 MS. BONNEVILLE: SHLOMO MELMED.
10 DR. MELMED: YES.
11 MS. BONNEVILLE: ADRIANA PADILLA.
12 DR. PADILLA: YES.
13 MS. BONNEVILLE: JOE PANETTA. FRANCISCO
14 PRIETO.
15 DR. PRIETO: AYE.
16 MS. BONNEVILLE: ROBERT QUINT.
17 DR. QUINT: YES.
18 MS. BONNEVILLE: AL ROWLETT.
19 MR. ROWLETT: AYE.
20 MS. BONNEVILLE: JEFF SHEEHY.
21 MR. SHEEHY: YES.
22 MS. BONNEVILLE: OSWALD STEWARD.
23 DR. STEWARD: YES.
24 MS. BONNEVILLE: JONATHAN THOMAS.
25 CHAIRMAN THOMAS: YES.

1 MS. BONNEVILLE: ART TORRES.

2 MR. TORRES: AYE.

3 MS. BONNEVILLE: KRISTINA VUORI.

4 DR. VUORI: YES.

5 MS. BONNEVILLE: DIANE WINOKUR. DIANE, I
6 THINK YOU'RE ON MUTE AGAIN. IF YOU COULD PLEASE
7 UNMUTE. JOE, YOU MAY BE ON MUTE AS WELL. IF WE
8 COULD BREAK FOR LUNCH, WE WILL TRY AND GET JOE AND
9 DIANE BACK ON THE LINE.

10 MR. TORRES: WHY DON'T WE JUST MOVE ON AND
11 ADJOURN?

12 MS. BONNEVILLE: WE HAVE ONE MORE
13 PRESENTATION AFTER THIS ONE.

14 MR. TORRES: HOW LONG WILL THAT TAKE?

15 MS. BONNEVILLE: I THINK PEOPLE ARE
16 HUNGRY.

17 CHAIRMAN THOMAS: BRING YOUR LUNCH BACK.
18 WE HAVE ONE MORE PRESENTATION AND A VERY IMPORTANT
19 GUEST HERE WHO WISHES TO SPEAK TO THE BOARD. SO IF
20 EVERYBODY COULD GRAB THEIR LUNCH AND BRING IT BACK
21 AT YOUR EARLIEST CONVENIENCE BACK TO YOUR CHAIRS,
22 AND WE WILL RECONVENE IN FIVE MINUTES OR SO.

23 (A RECESS WAS TAKEN.)

24 CHAIRMAN THOMAS: OKAY. WE ARE GOING TO
25 START UP HERE. THE LAST ITEM ON TODAY'S AGENDA,

1 WHICH INCLUDES A VERY IMPORTANT SPECIAL GUEST, IS AN
2 UPDATE OF THE CLINICAL TRIAL PROGRAM -- FOR THE
3 CLINICAL PROGRAM, I SHOULD SAY. DR. MILLAN.

4 DR. MILLAN: THANK YOU, CHAIRMAN THOMAS,
5 MEMBERS OF THE BOARD, MEMBERS OF THE PUBLIC. TODAY
6 I'LL BE GIVING AN UPDATE ON OUR CLINICAL TRIALS THAT
7 CIRM HAS FUNDED. AND IN KEEPING WITH CIRM'S MISSION
8 TO ACCELERATE STEM CELL TREATMENTS TO PATIENTS WITH
9 UNMET MEDICAL NEED, WE LAUNCHED A FIVE-YEAR
10 STRATEGIC GOAL OF BRINGING 50 NEW CLINICAL TRIALS
11 INTO OUR PORTFOLIO. AND AS REPORTED AT YEAR-END BY
12 DR. MILLS, WE DID SUCCEED IN BRINGING IN TEN NEW
13 TRIALS IN 2016. AND YOU APPROVED THREE ADDITIONAL
14 CLINICAL TRIALS TODAY AS WELL AS ANOTHER PROJECT IN
15 THE IND-ENABLING STAGE.

16 SO JUST AS A BRIEF OVERVIEW OF OUR
17 PROGRAMS, IN THIS WHEEL GRAPH YOU WILL SEE THAT WE
18 HAVE A DIVERSE PORTFOLIO OF CLINICAL PROGRAMS THAT
19 WE HAVE FUNDED TO DATE. THE LARGEST STILL IS IN
20 ONCOLOGY, COMPOSING 22 PERCENT OF OUR PORTFOLIO;
21 HOWEVER, THERE'S A GROWING NUMBER OF PROJECTS IN THE
22 OTHER DISEASE THERAPEUTIC AREAS AS WELL WITH 14
23 PERCENT IN HEMATOLOGY, 8 PERCENT IN INFECTIOUS
24 DISEASE, 13 PERCENT IN EYE DISEASE, AS WELL AS 10
25 PERCENT EACH IN METABOLIC AND CARDIOVASCULAR

1 DISEASE.

2 WE SUPPORTED 19 PHASE 1 CLINICAL TRIALS,
3 FOUR PHASE 2S, AND THREE PHASE 3 CLINICAL TRIALS,
4 AND ALSO AN OBSERVATIONAL HUNTINGTON'S DISEASE TRIAL
5 WHICH IS NOT LISTED HERE. THERE ARE THREE
6 ADDITIONAL TRIALS THAT WILL BE LAUNCHED SHORTLY
7 AFTER TODAY'S APPROVAL.

8 ON A QUARTERLY BASIS, WE'LL BE UPDATING
9 YOU ON OUR PROGRAMS, AND WE'LL BE DOING THAT BY
10 THERAPEUTIC AREAS. THE IDEA IS TO CYCLE THROUGH ALL
11 THESE SO THAT WE WILL COVER ALL OF THE PROJECTS IN
12 OUR PORTFOLIO OVER THE COURSE OF 18 MONTHS OR SO.

13 SO THE THREE OPHTHALMOLOGY CLINICAL TRIALS
14 THAT ARE CURRENTLY IN OUR PORTFOLIO AND WHICH ARE
15 CURRENTLY ACTIVE ARE LISTED HERE. THERE'S A PHASE
16 1/2A TRIAL IN AGE-RELATED MACULAR DEGENERATION,
17 WHICH IS CURRENTLY ENROLLING. A TRIAL FOR RETINITIS
18 PIGMENTOSA, A PHASE 1/2A TRIAL, WHICH HAS COMPLETED
19 ENROLLMENT AND IS NOW IN THE DATA COLLECTION AND
20 FOLLOW-UP PERIOD, AND FOLLOW-ON TO THAT TRIAL A
21 PHASE 2B TRIAL FOR THAT SAME INDICATION WITH THAT
22 PRODUCT. IT IS LAUNCHING AND IS INITIATING
23 ENROLLMENT. AND I WILL GO INTO THESE PROGRAMS IN A
24 LITTLE BIT MORE DETAIL.

25 FOR THE FIRST TRIAL, THE FIRST TRIAL WHICH

1 HAS A LONG TITLE, BUT IT'S A PHASE 1/2A SAFETY
2 ASSESSMENT OF A STEM CELL-DERIVED RETINAL PIGMENTED
3 EPITHELIAL CELL COATED WITH PARYLENE MEMBRANE
4 IMPLANTS IN PATIENTS WITH ADVANCED DRY AGE-RELATED
5 MACULAR DEGENERATION. IT IS LED BY DR. MARK HUMAYAN
6 FROM UNIVERSITY OF SOUTHERN CALIFORNIA, AND IT'S A
7 PHASE 1/2A TRIAL WHICH CIRM HAS FUNDED TO SUPPORT
8 THAT TRIAL.

9 DRY AGE-RELATED MACULAR DEGENERATION IS
10 DISTINCT FROM THE WET FORM OF AMD WHICH HAS A
11 CURRENTLY APPROVED TREATMENT. YOU'VE HEARD IT'S
12 REVOLUTIONIZED THE TREATMENT OF EYE DISEASE. IT'S
13 CALLED ANTI-VEG-F THERAPY. BUT FOR DRY AMD THERE IS
14 NO CURRENTLY APPROVED PRODUCT. SO THIS PROJECT
15 SEEKS TO TARGET THE DRY FORM OF AMD, WHICH IS A
16 PROGRESSIVE BLINDING EYE DISEASE THAT RESULTS IN
17 GEOGRAPHIC ATROPHY AND CENTRAL VISION LOSS. ITS
18 INCIDENCE IS LESS THAN 1 IN 1400 IN THE U.S. SO
19 IT'S RARE. AND THE IDEA BEHIND THIS TREATMENT,
20 WHICH ARE STEM CELL-DERIVED CELLS ON A BIOSTABLE
21 MEMBRANE, IS THAT THE IMPLANTATION OF THIS CELL
22 PRODUCT WOULD RESTORE THE NATIVE HEALTHY STATE OF
23 THESE RETINAL PIGMENTED EPITHELIAL CELLS WHICH ARE
24 NECESSARY FOR NORMAL VISION ON BRUCH'S MEMBRANE.

25 SO THE DESIGN IS THAT IT'S A PHASE 1/2A

1 OPEN LABEL TRIAL WITH TWO COHORTS. THE FIRST COHORT
2 WITH MORE SIGNIFICANT VISION LOSS OF BEST CORRECTED
3 VISUAL ACUITY OF LESS THAN 20 AND 400. AND THEN
4 PROCEEDING TO THE NEXT COHORT WITH LESS ADVANCED
5 VISUAL LOSS WITH A BEST CORRECTED VISION OF BETWEEN
6 20 AND 100, BUT BETTER THAN 20 AND 400. THE
7 THERAPEUTIC CANDIDATE IS DELIVERED INTO THE
8 SUBRETINAL SPACE, AND IT'S A SINGLE DOSE. THE IDEA
9 IS THAT THIS CELL DOSE ON THAT SIZE OF A MEMBRANE IS
10 PURPORTED TO BE THE BEST SIZE TO REPLACE THE MACULA.

11 THE GOAL OF THIS STUDY IS PRIMARILY AS A
12 PHASE 1 STUDY TO TEST SAFETY AND TOLERABILITY OF THE
13 SURGICAL PROCEDURE AND OF THE CELLS ON THE MEMBRANE.
14 BUT IN ADDITION, SECONDARY ENDPOINTS, MEANING
15 DATASETS THAT ARE BEING COLLECTED, ARE RELATING TO
16 ACTUAL VISUAL FUNCTION, INCLUDING VISUAL ACUITY
17 MEASURES, VISUAL FIELD, AND PHOTORECEPTOR ELECTRICAL
18 RESPONSES THAT ARE FUNCTIONAL MEASURES IN COMPARISON
19 TO THE BASELINE FUNCTION IN THAT TREATED EYE AS WELL
20 AS IN COMPARISON TO THE FUNCTION OF THE NONTREATED
21 EYE.

22 THIS TRIAL IS CURRENTLY ENROLLING, AND
23 SUBJECTS HAVE BEEN ENROLLED. THE PROJECT AWARD END
24 DATE IS IN JULY OF 2018 WHEN WE'LL HAVE A DATASET
25 THAT THE INVESTIGATORS WOULD BE ABLE TO REPORT UPON.

1 THE NEXT TRIAL --

2 DR. STEWARD: AS FAR AS THE ENROLLMENT, IS
3 IT MEETING THE ENROLLMENT TARGETS?

4 DR. MILLAN: IT'S CURRENTLY IN ENROLLMENT,
5 YES. IT'S CURRENTLY ENROLLING.

6 THE NEXT TRIAL, OUR SPECIAL GUEST THAT
7 CHAIRMAN THOMAS HAD ALLUDED TO HAD PARTICIPATED IN
8 THIS TRIAL. THE TRIAL IS CALLED "RETINAL PROGENITOR
9 CELLS FOR THE TREATMENT OF RETINITIS PIGMENTOSA."
10 THE PRINCIPAL INVESTIGATOR ON THIS TRIAL IS DR.
11 HENRY KLASSEN, AND THAT TRIAL SPONSOR WAS OUT OF UC
12 IRVINE. THE AWARD WAS \$17 MILLION TO FUND A PHASE
13 1/2A TRIAL FOR RETINITIS PIGMENTOSA.

14 SO RETINITIS PIGMENTOSA IS A SEVERE FORM
15 OF BLINDNESS THAT RUNS IN FAMILIES AND HAS AN
16 INCIDENCE OF 1 IN 4,000. IT'S CONSIDERED A GOOD
17 TARGET FOR STEM CELL TREATMENT BECAUSE IT'S KNOWN
18 WHAT THE PATHOPHYSIOLOGY IS. IT'S THE LOSS OF LIGHT
19 SENSING PHOTORECEPTORS. SO THE IDEA IS IF YOU COULD
20 SAVE THOSE PHOTORECEPTORS, THEN YOU COULD SAVE THE
21 VISION IN THOSE DISEASED EYES.

22 THE PROPOSED MECHANISM IS THAT THE
23 INVESTIGATIONAL PRODUCT WHICH IS A RETINAL
24 PROGENITOR CELL WOULD RESCUE THESE LIGHT
25 PHOTORECEPTORS. IT'S A PHASE 1/2A TRIAL, OPEN

1 LABEL, SINGLE ARM STUDY. SO THE PATIENTS KNOW
2 THEY'RE RECEIVING THE THERAPEUTIC CANDIDATE. IT'S
3 AN INTRAVITREAL INJECTION OF THE HUMAN RETINAL
4 PROGENITOR CELLS INTO THE WORST SEEING EYE. IN THIS
5 TRIAL TWO COHORTS, ONE WITH 20 AND 200 VISION OR
6 WORSE, AND THE OTHERS WITH LESS AFFECTED VISION,
7 WERE DOSED WITH MANY ASCENDING DOSE RANGES OF THE
8 INVESTIGATIONAL PRODUCT.

9 THE GOAL OF THAT STUDY WAS PRIMARILY TO
10 TEST SAFETY AND TOLERABILITY OF THE PROCEDURE AND
11 THE RPE CELLS, BUT ALSO TO TEST FUNCTIONAL STUDIES
12 SUCH AS VISUAL ACUITY, VISUAL FIELD, AND ANATOMY BY
13 WAY OF ANGIOGRAPHY AND TOMOGRAPHY.

14 THIS TRIAL OF 28 SUBJECTS HAS COMPLETED
15 ENROLLMENT. THESE PATIENTS ARE IN THE FOLLOW-UP
16 OBSERVATIONAL PERIOD. DATA IS BEING COLLECTED AND
17 ANALYSIS WILL BE COMPLETE BY THE END OF THE YEAR.
18 THE PRELIMINARY SAFETY AND EFFICACY DATA FROM THIS
19 TRIAL WAS USED TO SUPPORT THE DESIGN AND THE
20 APPROVAL OF THE PHASE 2 CLINICAL TRIAL FOR OUR
21 FUNDING AS HAD BEEN ALLOWED BY THE FDA TO PROCEED
22 WITH A PHASE 2B TRIAL.

23 PHASE 2B CLINICAL STUDY IS TERMED "STUDY
24 OF EFFICACY AND SAFETY OF INTRAVITREAL INJECTION OF
25 RETINAL PROGENITOR CELLS, JCELL, FOR TREATMENT OF

1 RETINITIS PIGMENTOSA." THE SPONSOR NOW IS JCYTE,
2 WHICH IS A SPINOUT OF THE IP FROM UC IRVINE. DR.
3 HENRY KLASSEN REMAINS THE PRINCIPAL INVESTIGATOR ON
4 THE GRANT AND ON THE TRIAL. IT'S AN \$8 MILLION
5 AWARD FOR A PHASE 2B TRIAL FOR THIS INDICATION.

6 THE GOAL OF THE STUDY IS TO CONTINUE TO
7 ASSESS SAFETY AND TOLERABILITY, BUT ALSO TO GATHER
8 DATA AS TO WHETHER THE PRODUCT IS EFFICACIOUS,
9 MEANING THAT THERE'S BIOLOGIC ACTIVITY THAT RESULTS
10 IN IMPROVEMENT OF THE RETINITIS PIGMENTOSA. IT'S A
11 PHASE 2B SINGLE DOSE RANDOMIZED TRIAL WITH PLACEBO
12 CONTROL, MEANING HALF OF THE PATIENTS RECEIVE JUST
13 SOLUTION WITHOUT CELLS AND THE OTHER HALF RECEIVE
14 THE INVESTIGATIONAL PRODUCT, WHICH ARE THE RETINAL
15 PROGENITOR CELLS. THE PATIENTS WHO RECEIVE THE
16 PLACEBO CONTROL, AFTER 12 MONTHS OF FOLLOW-UP AND
17 DATA THAT'S GATHERED, WILL HAVE THE OPTION TO WHAT'S
18 SO-CALLED CROSSOVER, MEANING TO ALSO RECEIVE THE
19 INVESTIGATIONAL PRODUCT.

20 SO THE GOAL OF THIS TRIAL, WHICH AGAIN
21 PROGRESSED FROM THE PHASE 1 TRIAL, IS TO GATHER
22 EFFICACY DATA, FUNCTIONAL DATA. SO THE TESTS AND
23 THE DATASETS THAT ARE BEING COLLECTED ARE LOOKING
24 FOR IMPROVEMENTS IN VISUAL FUNCTION AT 12 MONTHS.
25 AND THESE EVALUATIONS ARE UTILIZING MORE SENSITIVE

1 LOW VISION TESTS WHICH ARE MORE APPROPRIATE FOR
2 PATIENTS WITH THIS LEVEL OF IMPAIRED VISION, MORE
3 APPROPRIATE THAN THE TYPICAL VISUAL ACUITY TEST THAT
4 MOST PEOPLE WOULD HAVE PERFORMED AT THEIR
5 OPHTHALMOLOGIST. BUT IN ADDITION, THESE PATIENTS
6 WILL HAVE BILATERAL MOBILITY TESTS. AND A PICTURE
7 HERE IS A MAZE THAT THESE PATIENTS WOULD GO THROUGH.
8 AND THESE MAZES HAVE VARIOUS CONTRAST AND OBJECTS,
9 AND THEY VARY THE ILLUMINATION IN THE ROOM. AS
10 PATIENTS GO THROUGH THIS MAZE, THEY CAPTURE DATA
11 THROUGH THESE SENSITIVE CAMERAS. THE DATASETS ARE
12 CAPTURED AS YES/NO, AND THIS MOBILITY TEST IS
13 ACTUALLY THE BASIS, THE PRIMARY ENDPOINT FOR ONE OF
14 THE GENE THERAPY TRIALS THAT'S CURRENTLY IN PHASE 3
15 THAT J.T. HAD MENTIONED EARLIER IN THE MEETING
16 THAT'S BEING REVIEWED FOR APPROVAL EARLY THIS YEAR,
17 THE SPARK TRIAL.

18 SO THIS MOBILITY TEST IS ACTUALLY AN
19 APPROVABLE ENDPOINT. IT'S A FUNCTIONAL ENDPOINT,
20 AND IT MEASURES RELEVANT PARAMETERS IN VISUAL
21 ACUITY, VISUAL FIELD, CONTRAST SENSITIVITY, AND DIM
22 LIGHT VISION. THOSE ARE THINGS THAT ARE VERY
23 IMPORTANT AND RELEVANT TO ACTIVITIES OF DAILY LIVING
24 AND QUALITY OF LIFE.

25 AND SPEAKING OF THOSE PARAMETERS AND

1 RELEVANCE, IT IS MY PLEASURE TO TURN THIS OVER TO
2 KEVIN MCCORMACK, WHO WILL INTRODUCE OUR PATIENT
3 REPRESENTATIVE IF THERE ARE NO QUESTIONS.

4 DR. JUELSGAARD: SO CAN YOU DISTINGUISH
5 FOR ME THIS TRIAL FROM THE ONE YOU JUST PRESENTED
6 BEFORE IT? SO WE HAVE THE SAME PI, HENRY KLASSEN,
7 WE HAVE THE SAME DISEASE, RETINITIS PIGMENTOSA. WE
8 HAVE, I BELIEVE, THE SAME TYPE OF TREATMENT APPROACH
9 USING PROGENITOR CELLS. SO WHAT MAKES THESE TRIALS
10 DIFFERENT THAT WE'RE FUNDING TWO OF THEM?

11 DR. MILLAN: SO THE FIRST TRIAL, THERE
12 WERE 28 SUBJECTS IN THAT TRIAL. THE FIRST TRIAL
13 TESTED DIFFERENT DOSES FOR HALF MILLION TO THREE
14 MILLION CELLS, AND LOOKED AT TWO COHORTS, PATIENTS
15 WITH 20 AND 200 VISION AND THOSE WITH OTHERS. AND
16 IT NARROWED DOWN WHAT WAS THE APPROPRIATE PATIENT
17 COHORT. SO THEY ACTUALLY IN THIS NEXT TRIAL ARE
18 JUST ENROLLING WORST VISION, THE MOST AFFECTED. AND
19 IN ADDITION, THEY'VE CHOSEN A DOSE. SO THE DOSE
20 WILL BE -- I DON'T KNOW IF I CAN DISCLOSE, BUT IT'S
21 ONE OF THE DOSES IN THE MIDRANGE.

22 IN ADDITION, I THINK MAYBE I DIDN'T
23 EMPHASIZE IT ENOUGH, THE FUNCTIONAL EVALUATIONS HAVE
24 REALLY BEEN REVVED UP. IN FACT, THIS MOBILITY TEST
25 THAT I MENTIONED IS BEING FASHIONED TO BE

1 APPROPRIATE FOR THIS TRIAL. SO IT'S VERY RELEVANT
2 BECAUSE IT'S AN APPROVABLE ENDPOINT. IT'S A PRIMARY
3 ENDPOINT FOR THE SPARK TRIAL, AND NOW IT'S BEING
4 CUSTOMIZED FOR THIS PARTICULAR TRIAL. SO THAT IS
5 SOMETHING THAT HAS ADVANCED FROM THE OTHER. IT WAS
6 INFORMED BY THE PREVIOUS TRIAL. THIS TRIAL IS A
7 LITTLE BIT BIGGER, SIXTY PATIENTS. IT NARROWED DOWN
8 THE SEVERITY OF THE DISEASE TO --

9 DR. JUELSGAARD: IT'S JUST A PROGRESSION
10 TRIAL?

11 DR. MILLAN: YES, IT IS. THANK YOU.
12 OKAY. SO HERE'S KEVIN.

13 MR. MC CORMACK: THANK YOU, DR. MILLAN.
14 CHAIRMAN THOMAS, MEMBERS OF THE BOARD, I ACTUALLY
15 HAD A REALLY LONG AND DETAILED INTRODUCTION PLANNED,
16 BUT I THINK WE'LL SKIP THAT. KNOW YOUR AUDIENCE.

17 ONE OF THE GREAT PLEASURES OF MY JOB,
18 OTHER THAN DOING EVERYTHING THAT MARIA BONNEVILLE
19 ASKS ME TO, IS THE OPPORTUNITY TO MEET SOME
20 EXTRAORDINARY PEOPLE IN THE PATIENTS AND PATIENT
21 ADVOCATES. AND THE WOMAN WE'RE ABOUT TO MEET NOW
22 CERTAINLY FITS INTO THAT CATEGORY.

23 ROSIE BARRERO, SEEN HERE ON PAGE 5 OF YOUR
24 ANNUAL REPORT, OR CINCO IN THE SPANISH LANGUAGE
25 VERSION, HAS BEEN A GREAT CHAMPION OF STEM CELL

1 RESEARCH FOR A LONG TIME, AND IN PARTICULAR THE WORK
2 OF DR. KLASSEN AT UC IRVINE. RECENTLY SHE MOVED
3 OVER FROM BEING AN ADVOCATE TO BEING A CLIENT, AND
4 SHE UNDERWENT ONE OF THE PROCEDURES IN THE FIRST
5 GROUP OF PATIENTS THAT DR. KLASSEN TREATED. AND
6 SHE'S HERE NOW TO TALK TO US ABOUT THE IMPACT THAT
7 THAT'S HAD ON HER LIFE. SO, LADIES AND GENTLEMEN,
8 ROSIE BARRERO.

9 (APPLAUSE.)

10 MS. BARRERO: GOOD AFTERNOON, EVERYONE. I
11 DON'T KNOW IF ANYONE REMEMBERS ME, BUT I AM ROSIE
12 BARRERO, AND I HAVE RETINITIS PIGMENTOSA. AND I WAS
13 DIAGNOSED A LITTLE OVER 20 YEARS AGO. AND I HAD
14 NEVER HEARD THAT WORD UNTIL THAT DAY 20 YEARS AGO,
15 AND I HAPPENED TO BE PREGNANT WITH MY TWINS AND
16 FEELING VERY EXCITED ABOUT BEING A MOM, BUT THEN
17 LEARNING THAT I WOULD LOSE MY SIGHT WAS
18 HEARTBREAKING. AND I DIDN'T DO ANYTHING ABOUT IT.
19 I DIDN'T THINK THAT THERE WAS EVER GOING TO BE CURE.
20 I DECIDED TO JUST CONTINUE MY LIFE AND BE A MOM AND
21 RAISE OUR THREE KIDS. AND ONE OF OUR TWINS ENDED UP
22 WITH AUTISM AND SEIZURE DISORDER AS WELL AS MILD
23 CEREBRAL PALSY, SO HE IS MEDICALLY FRAGILE. AND THE
24 HOPE OF REGAINING MY SIGHT WAS SO IMPORTANT BECAUSE
25 HE HAS THOSE NEEDS.

1 AND JUST BEING HERE, I'M SO GRATEFUL.
2 EVERYONE THAT I'VE MET HERE IS AMAZING. AND THEY
3 WERE SAYING, "OH, I'M SO SORRY YOU HAVE TO WAIT."
4 AND I'M THINKING, "ARE YOU KIDDING ME? I'M THE ONE
5 THAT IS SO GRATEFUL TO BE HERE." THANK YOU SO VERY
6 MUCH FOR THIS OPPORTUNITY. JUST THANK YOU.

7 AND BEING IN THE CLINICAL TRIAL, IT HAS
8 MADE A DIFFERENCE. I'M STILL AFRAID OF PUBLIC
9 SPEAKING, AND EARLY ON IT WAS MUCH EASIER BECAUSE I
10 COULDN'T SEE ANY OF YOU. BUT HELLO, EVERYBODY. I
11 CAN SEE YOU GUYS. I CAN SEE THIS ROOM. I CAN SEE A
12 LOT OF THINGS. I CAN SEE COLORS. I CAN SEE MOVIES.
13 I SAW A DOCUMENTARY. IT WAS CALLED IRIS, AND IT WAS
14 ABOUT THIS AMAZING INTERIOR DESIGNER SLASH FASHION
15 ICON. I WAS SO INSPIRED BY HER, THAT I WANT TO BUY
16 EVERYTHING SPARKLEY AND COLORFUL. I ALSO SAW *LA LA*
17 *LAND*. LOVE IT. I'M GOING TO BUY IT.

18 AND THERE WAS SOMETHING ELSE THAT WAS JUST
19 REALLY SIGNIFICANT. JUST BEING ABLE TO SHARE ALL
20 THOSE THINGS WITH MY FAMILY AND MY HUSBAND, WHO'S
21 OVER THERE. I'M SURPRISED HE'S NOT RIGHT HERE, BUT
22 HE SAID, "NO. THIS IS YOUR THING. YOU NEED TO DO
23 IT." AND I'M JUST FEARFUL, BUT I'M JUST HAPPY TO BE
24 MOVING FORWARD AND TO HAVE MY VISION CONTINUE TO GET
25 BETTER. IT'S BEEN A YEAR AND A HALF, AND I'M STILL

1 SEEING PROGRESS IF YOU CAN BELIEVE IT. IT'S
2 AMAZING. IT'S JUST AMAZING. AND NOW I'M GOING INTO
3 THE SECOND PHASE OF THAT CLINICAL TRIAL, AND I WILL
4 RECEIVE A SECOND STEM CELL INJECTION IN MY OTHER EYE
5 HOPEFULLY, GOD WILLING, KNOCK ON WOOD, AND THAT JUST
6 MEANS I WILL SEE MORE OF YOU.

7 AND I WON'T TAKE ANY MORE OF YOUR TIME,
8 BUT THANK YOU SO MUCH FOR ALLOWING ME TO SPEAK TO
9 YOU.

10 (APPLAUSE.)

11 MR. TORRES: ROSIE, YOU'RE SUCH AN
12 INSPIRATION. AND YOUR HUSBAND, WHO I'VE KNOWN FOR
13 MANY YEARS, AND YOUR FAMILY WERE CONSTITUENTS OF
14 MINE IN LOS ANGELES. BUT PEOPLE IN THE ROOM NEED TO
15 KNOW THAT THIS IS A LOVE STORY, AND THESE TWO PEOPLE
16 OBVIOUSLY FELL IN LOVE. AND SO TO SEE THE
17 DEDICATION AND THE COMPASSION AND THE LOVE BETWEEN
18 THEM IS JUST EXTRAORDINARY. SO I JUST WANT TO THANK
19 YOU FOR BEING THE INSPIRATION FOR US TO CONTINUE
20 THIS WORK, ROSIE.

21 (APPLAUSE.)

22 CHAIRMAN THOMAS: WELL SAID. THANK YOU
23 VERY MUCH, SENATOR TORRES. AND THANK YOU, ROSIE,
24 VERY MUCH FOR YOUR COMMENTS.

25 SO THAT CONCLUDES THE AGENDA. I HAD A

1 COUPLE OF ADDITIONAL COMMENTS. ONE IS I WANT TO
2 MAKE SURE WE THANK -- THIS IS THE INAUGURAL EFFORT
3 HERE TO HAVE THE MEETING IN OUR OFFICES, WHICH I
4 FEEL HAS BEEN A GREAT SUCCESS. I THINK THE SETTING
5 IS GREAT. I THINK THE MIKING, WHICH WE CONTINUE TO
6 HAVE PROBLEMS WITH VIRTUALLY EVERY PLACE, IS
7 SPECTACULAR HERE. AND I JUST WANT TO SINGLE OUT
8 AMY, DOUG, JUSTIN, BILL, AND ANYBODY ELSE THAT I'M
9 FORGETTING HERE. MANDA, THANK YOU. IT'S JUST
10 WONDERFUL. WE APPRECIATE IT. MANDA, IS MANDA HERE?
11 OKAY. I WAS GOING TO SAY SOMETHING ABOUT THE SEAL
12 WITH THE GIANTS UNIFORM SITTING IN MY OFFICE THAT
13 MANDA PUT THERE. SINCE SHE'S NOT HERE, I'M NOT
14 GOING TO BRING THAT UP. SO THANK YOU, EVERYBODY,
15 FOR YOUR VERY HARD WORK.

16 SECONDLY, RECOMMEND THAT YOU TAKE A GOOD
17 LOOK AT OUR ANNUAL REPORT WHICH YOU HAVE BEFORE YOU
18 IN ENGLISH AND SPANISH. IT IS A TERRIFIC DOCUMENT.
19 THE COMMUNICATIONS TEAM LED BY MARIA AND KEVIN AND
20 KAREN AND TODD AND EVERYBODY INVOLVED WITH THIS
21 SPENT AN ENORMOUS AMOUNT OF TIME TO PRODUCE THIS
22 DOCUMENT UNDER RANDY'S GUIDANCE. AND I THINK THAT
23 ANYBODY WHO SEES THIS GETS A GREAT REAL-TIME FEEL
24 FOR THE TERRIFIC WORK THAT THE AGENCY IS DOING AND
25 THE PROMISE THAT WHAT WE'RE DOING HOLDS GOING

1 FORWARD. SO WOULD INVITE YOU TO TAKE NUMEROUS
2 COPIES, GIVE IT TO YOUR FRIENDS AND FAMILY. PEOPLE
3 ALWAYS ENJOY LOOKING AT THAT. SO CONGRATULATIONS
4 AND THANK YOU FOR ALL THE HARD WORK.

5 SO I THINK WITH THAT, OUR NEXT IN-PERSON
6 MEETING IS IN JUNE; IS THAT CORRECT?

7 MS. BONNEVILLE: YES.

8 CHAIRMAN THOMAS: AND WE WILL BE HAVING
9 THE MONTHLY MEETINGS TELEPHONICALLY GOING FORWARD
10 BETWEEN NOW AND THEN. THANK YOU VERY MUCH,
11 EVERYBODY, FOR COMING. WELCOME AGAIN, CHANCELLOR
12 BLUMENTHAL. AND THE MEETING, SUBJECT TO MARIA
13 MAKING A COMMENT --

14 MS. BONNEVILLE: DIANE, ARE YOU ON THE
15 LINE? HOW ABOUT JOE? I DON'T NEED ANYTHING AFTER
16 ALL.

17 CHAIRMAN THOMAS: THANK YOU AGAIN, FOLKS.
18 THE MEETING STANDS ADJOURNED. THANK YOU.

19 (THE MEETING WAS THEN ADJOURNED AT
20 02:10 P.M.)

21
22
23
24
25

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE AND THE APPLICATION REVIEW SUBCOMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
1999 HARRISON STREET
SUITE 1650
OAKLAND, CALIFORNIA
ON
FEBRUARY 23, 2017

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CA CSR 7152
133 HENNA COURT
SANDPOINT, IDAHO