

BETH C. DRAIN, CA CSR NO. 7152

BEFORE THE
SCIENTIFIC AND MEDICAL ACCOUNTABILITY STANDARDS
WORKING GROUP
OF THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: VIA ZOOM

DATE: JANUARY 5, 2023
9 A.M.

REPORTER: BETH C. DRAIN, CA CSR
CSR. NO. 7152

FILE NO.: 2023-01

**133 HENNA COURT, SANDPOINT, IDAHO 83864
208-920-3543 DRAIBE@HOTMAIL.COM**

BETH C. DRAIN, CA CSR NO. 7152

I N D E X

ITEM DESCRIPTION	PAGE NO.
OPEN SESSION	
1. CALL TO ORDER	3
2. ROLL CALL	3
3. MEMBER INTRODUCTIONS	3
DISCUSSION ITEMS	
4. WORKGROUP MISSION AND MEETING AIM & PURPOSE	15
5. REVIEW OF BYLAWS AND COI POLICY	22
6. CIRM PROGRAM UPDATES: CIRM 2022-27 FIVE-YEAR STRATEGIC PLAN:	
A. CLINICAL TRIALS PORTFOLIO	28
B. ALPHA CLINICS NETWORK	56
C. PATIENT ASSISTANCE PROGRAM	64
7. PRESENTATIONS AND DISCUSSION: CONSENT CONSIDERATIONS IN CELL AND GENE THERAPY CLINICAL TRIALS	75
8. OVERVIEW OF CIRM MEDICAL AND ETHICAL STANDARDS	129
9. GROUP DISCUSSION	161
10. PUBLIC COMMENT	NONE
11. ADJOURNMENT	162

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

THURSDAY, JANUARY 5, 2023; 9 A.M.

DR. LOMAX: YOU WANT TO KICK US OFF HERE,
J.T. JEFF. APOLOGIES.

CO-CHAIRMAN KAHN: NO PROBLEM. GOOD
MORNING. THOSE OF YOU ON THE WEST COAST AND GOOD
AFTERNOON IF WE HAVE ANYBODY JOINING FROM EASTERN
TIME ZONE. I'M JEFF KAHN. I AM IN MY PROFESSIONAL
LIFE THE DIRECTOR OF THE BERMAN INSTITUTE OF
BIOETHICS AT JOHNS HOPKINS UNIVERSITY. AND I'M
PRIVILEGED TO BE THE CO-CHAIR, ALONG WITH MY
COLLEAGUE FRED FISHER, OF THE CIRM STANDARDS WORKING
GROUP. AND IT'S MY PRIVILEGE TO KICK OFF THE GAVEL
TO OPENING THE JANUARY 2023 MEETING OF THE STANDARDS
WORKING GROUP.

GEOFF, DO YOU WANT TO TAKE THE ROLL CALL
OF THE MEMBERS AND I GUESS OF THE LEADERSHIP WHO ARE
ALSO ON THE ZOOM.

DR. LOMAX: GREAT. YES. THANK YOU, JEFF,
AND THANK YOU FOR ALL YOUR LEADERSHIP IN TERMS OF
GETTING THIS MEETING TOGETHER. I'LL START WITH ROLL
CALL. JEFFREY KAHN.

CO-CHAIRMAN KAHN: THAT'S ME. AS YOU
HEARD, I'M AT JOHN HOPKINS UNIVERSITY. I WORK IN
ETHICS AND PUBLIC HEALTH POLICY, DO A LOT OF WORK IN

BETH C. DRAIN, CA CSR NO. 7152

1 ETHICS AND EMERGING TECHNOLOGIES AND, IMPORTANT FOR
2 THIS ROLE, I'M A CALIFORNIA NATIVE. I GREW UP IN
3 THE SAN FERNANDO VALLEY. PROUD GRADUATE OF GRANT
4 HIGH SCHOOL AND UCLA. SO VERY HAPPY TO BE PART OF
5 THIS GROUP AND TO CONTRIBUTE AS I CAN TO THE GREAT
6 WORK OF CIRM.

7 DR. LOMAX: FRED FISHER.

8 CO-CHAIRMAN FISHER: HI, EVERYONE. AS YOU
9 HEARD, I'M FRED FISHER, CO-CHAIR OF THIS WORKGROUP
10 AND A MEMBER OF THE BOARD OF THE CALIFORNIA
11 INSTITUTE FOR REGENERATIVE MEDICINE. IN THAT ROLE,
12 I AM THE PATIENT ADVOCATE FOR ALS AND MS AND SIT ON
13 A NUMBER OF THE SCIENTIFIC REVIEW COMMITTEES
14 PROVIDING GUIDANCE AND OVERSIGHT FOR THE HUNDREDS OF
15 MILLIONS THAT CIRM INVESTS IN STEM CELL AND GENE
16 THERAPY RESEARCH.

17 IN MY OTHER WORLD, I AM THE PRESIDENT AND
18 CEO OF THE ALS ASSOCIATION GOLDEN WEST, WHICH SERVES
19 PEOPLE THROUGHOUT CALIFORNIA AND HAWAII, PROVIDING
20 DIRECT SUPPORT TO PEOPLE LIVING WITH ALS, WORKING
21 WITH THE CLINICS AND INCUBATING CLINICS TO ENSURE
22 THAT EVERYONE WITH ALS HAS ACCESS TO
23 MULTIDISCIPLINARY SPECIALTY CARE, WORKING WITH
24 RESEARCHERS TO HELP ACCELERATE THE SEARCH FOR
25 EFFECTIVE TREATMENTS AND CURES FOR ALS, AND

BETH C. DRAIN, CA CSR NO. 7152

1 ADVANCING PUBLIC POLICY INITIATIVES THAT ARE
2 RELEVANT TO THE LIVES OF PEOPLE LIVING WITH ALS.
3 I'VE BEEN IN THAT ROLE FOR JUST ABOUT 20 YEARS.

4 SO MY BACKGROUND IS IN SOCIAL WORK. SO
5 I'M NOT A PHYSICIAN, I'M NOT A SCIENTIST, BUT
6 CERTAINLY I CAN REPRESENT THE NEEDS, CONCERNS, AND
7 PRIORITIES OF THE PATIENT COMMUNITY.

8 DR. LOMAX: AGAIN, JUST TO EXTEND A THANKS
9 FOR ALL YOUR GUIDANCE IN TERMS OF PULLING THIS
10 MEETING TOGETHER. FROM THE CIRM TEAM SIDE, IT'S
11 DEEPLY APPRECIATED.

12 AKSHAY SHARMA.

13 DR. SHARMA: HI. GOOD MORNING, EVERYONE.
14 I'M AKSHAY SHARMA. I'M A PHYSICIAN SCIENTIST. I'M
15 A BONE MARROW TRANSPLANT PHYSICIAN AT ST. JUDE
16 CHILDREN'S RESEARCH HOSPITAL. AND IN THIS ROLE I
17 TAKE CARE OF CHILDREN WITH MANY DIFFERENT
18 HEMATOLOGICAL DISORDERS, AND I ALSO LEAD CLINICAL
19 TRIALS OF GENE THERAPY, GENE EDITING FOR SICKLE CELL
20 DISEASE.

21 I'VE BEEN WORKING WITH CIRM FOR QUITE A
22 FEW YEARS NOW IN DIFFERENT CAPACITIES. AND I'M HERE
23 BECAUSE I'M ALSO AN ARMCHAIR ETHICIST, AS I TOLD
24 JEFF KAHN PREVIOUSLY. MY INTEREST AS A PART OF MY
25 RESEARCH ACTIVITIES ALSO REVOLVES AROUND EQUITABLE

BETH C. DRAIN, CA CSR NO. 7152

1 PATIENT ACCESS AND DEVELOPING BETTER INFORMED
2 CONSENT METHODS. I'M REALLY GLAD THAT CIRM IS
3 LEADING THESE EFFORTS HERE AND HAPPY TO BE PART OF
4 THIS.

5 DR. LOMAX: THANK YOU. BENHUR LEE.

6 DR. LEE: SORRY. I'M A PROFESSOR OF
7 MICROBIOLOGY AT THE ICAHN SCHOOL OF MEDICINE AT
8 MOUNT SINAI. I WAS ACTUALLY INVOLVED IN THE ESCRO
9 COMMITTEE AT UCLA EVER SINCE PROPOSITION 71 WAS
10 APPROVED. BUT I MOVED TO SINAI AND I GUESS WAS
11 DOING TOO GOOD A JOB AT THE ESCRO COMMITTEE AND WAS
12 TO CONTINUE IN MY ROLE.

13 I'VE BEEN A MEMBER FOR THE LAST FEW YEARS
14 AT THE RECOMBINANT DNA ADVISORY COMMITTEE AT NIH
15 THAT HAS NOW BEEN RENAMED NEXTRAC TRACK, WHICH I
16 GUESS IS NEW AND EXTRAORDINARY RESEARCH ADVISORY
17 COMMITTEE. I THINK NIH IS MORE PROUD OF THE ACRONYM
18 THAN THE COMMITTEE. BUT IN THAT CAPACITY, I HOPE TO
19 LEARN AND CONTRIBUTE TO THE GREAT WORK THAT CIRM IS
20 DOING. I'M MOSTLY A VIROLOGIST BY TRAINING.

21 DR. LOMAX: THANK YOU.

22 IS CHRISTINE MIASKOWSKI ON THE CALL? SO
23 ONE OF OUR NURSE AND PATIENT ADVOCATE MEMBERS, BUT
24 WASN'T ABLE TO JOIN TODAY.

25 ELENA FLOWERS.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. FLOWERS: HI, EVERYONE. THANK YOU FOR
2 THE OPPORTUNITY TO BE HERE. IT'S WONDERFUL TO MEET
3 ALL OF YOU. I AM ON THIS WORKING GROUP IN MY ROLE
4 AS A NURSE AND PATIENT ADVOCATE ON THE BOARD FOR
5 CIRM AND WAS REALLY HAPPY TO BE GIVEN THE
6 OPPORTUNITY TO SERVE IN THAT CAPACITY, PARTICULARLY
7 WITH THE FOCUS ON SERVING A DIVERSE PATIENT
8 POPULATION OF CALIFORNIA. I LOVED AKSHAY'S COMMENT
9 BEING AN CHAIR ARM ETHICIST AND LONG HAD AN INTEREST
10 IN ETHICS AS WELL.

11 AND I'M AN ASSOCIATE PROFESSOR IN THE
12 SCHOOL OF NURSING AT UC SAN FRANCISCO MOSTLY DOING
13 RESEARCH FOCUSED ON MOLECULAR MARKERS RELATED TO
14 RISK FOR TYPE 2 DIABETES AND LOOKING AT HOW WE CAN
15 BETTER QUANTIFY SOCIAL DETERMINANTS OF HEALTH AND
16 TEACH THE COURSES ON GENOMICS FOR OUR NURSING
17 STUDENTS.

18 DR. LOMAX: THANK YOU.

19 HAD A MESSAGE FROM DR. ROSSANT. SHE'S NOT
20 ABLE TO JOIN THIS MORNING.

21 DR. JOHN WAGNER.

22 DR. WAGNER: HI, EVERYONE. MY NAME IS
23 JOHN WAGNER. I'M PREVIOUSLY THE DIRECTOR OF THE
24 BONE MARROW TRANSPLANT CELL THERAPY PROGRAM AT THE
25 UNIVERSITY OF MINNESOTA. NOW I'M THE DIRECTOR OF

BETH C. DRAIN, CA CSR NO. 7152

1 THE INSTITUTE FOR CELL AND GENE IMMUNOTHERAPY AT THE
2 SAME INSTITUTION. AND I'M PART OF THE GRANTS
3 WORKING GROUP OF CIRM, BUT ALSO SERVED ON THE FIRST
4 SWG WAY BACK IN MID-2000S WHERE THE FOCUS WAS HOW DO
5 WE DO EMBRYONIC STEM CELL RESEARCH AND ALL THE
6 DERIVED THERAPEUTICS FROM THAT.

7 YOU CAN IMAGINE THERE WERE MANY POLICY
8 ISSUES TO DISCUSS IN THOSE EARLY DAYS. BUT MY
9 INTEREST NOW CONTINUES TO BE FIRST-IN-HUMAN CELL AND
10 STEM CELL AND IMMUNE CELL THERAPIES IN PATIENTS MOST
11 OFTEN WITH GENETIC DISEASES OR CANCER.

12 DR. LOMAX: THANK YOU.

13 IS KAREN ROMMELFANGER ON THE CALL? I'M
14 NOT -- OKAY. THAT'S A NO.

15 KAROL WATSON. CHRIS SAHA.

16 DR. SAHA: GOOD MORNING. I'M CHRIS SAHA
17 FROM THE UNIVERSITY OF WISCONSIN MADISON. I'M AN
18 ASSOCIATE PROFESSOR OF BIOMEDICAL ENGINEERING, AND I
19 ALSO HOLD AN APPOINTMENT IN THE MEDICAL HISTORY AND
20 BIOETHICS DEPARTMENT.

21 I RUN A LAB HERE ON DEVELOPING NEW CELL
22 AND GENE THERAPIES, NOTABLY USING GENOME EDITING AND
23 CRISPR. AND WE HAVE A NUMBER OF PROJECTS THAT ARE
24 LOOKING TO MOVE EMERGING NEW TECHNOLOGIES HERE WITH
25 GENOME EDITING INTO FIRST-IN-HUMAN TRIALS. I HAVE

BETH C. DRAIN, CA CSR NO. 7152

1 ALSO BEEN PART OF THE STEM CELL RESEARCH OVERSIGHT
2 COMMITTEE HERE AT UNIVERSITY OF WISCONSIN SINCE I
3 STARTED FOR NEARLY TEN YEARS HERE. AND ALSO AM A
4 MEMBER OF THE ETHICS COMMITTEE FOR THE INTERNATIONAL
5 SOCIETY FOR STEM CELL RESEARCH AS WELL AS THE
6 AMERICAN SOCIETY FOR GENE AND CELL THERAPY.

7 PART OF MY TIME HAS BEEN TO ALSO CO-DIRECT
8 A MULTI-INSTITUTIONAL EFFORT CALLED THE GLOBAL
9 OBSERVATORY ON GENOME EDITING. AND I'VE BEEN VERY
10 INTERESTED IN HOW THOSE TECHNOLOGIES ARE BEING
11 INTEGRATED INTO BOTH RESEARCH AND TRANSLATIONAL
12 WORK. THANK YOU FOR HAVING ME.

13 DR. LOMAX: LEONDRA CLARK-HARVEY.

14 DR. CLARK-HARVEY: GOOD MORNING, EVERYONE.
15 AND I APOLOGIZE I'M NOT ON CAMERA. I'M RECOVERING
16 FROM SURGERY. I'M ALSO HAVING SOME PROBLEMS
17 ACCESSING MY NORMAL LAPTOP AND DESKTOP. I THINK
18 WHILE I WAS OUT ON MEDICAL LEAVE, MY DEVICES DECIDED
19 THEY WERE OUT AS WELL AND THEY'VE NOT RETURNED.

20 BUT I'M DR. LEONDRA CLARK-HARVEY. I'M A
21 PSYCHOLOGIST BY TRAINING AND HAVE PRACTICED IN
22 COMMUNITY-BASED ORGANIZATIONS HERE IN CALIFORNIA AS
23 WELL AS WISCONSIN. GO BADGERS. THANKS FOR THE
24 FELLOW BADGER.

25 I AM THE CEO OF THE CALIFORNIA COUNCIL OF

BETH C. DRAIN, CA CSR NO. 7152

1 COMMUNITY BEHAVIORAL HEALTH AGENCIES, AND WE
2 REPRESENT MENTAL HEALTH AND SUBSTANCE USE DISORDER
3 AGENCIES ACROSS THE STATE OF CALIFORNIA. I SIT ON
4 THE BOARD OF THE NATIONAL COUNCIL FOR MENTAL
5 WELL-BEING. REALLY GLAD TO BE HERE AMONGST THIS
6 GROUP. THANK YOU.

7 DR. LOMAX: THANK YOU.

8 I KNOW MELISSA LOPES WAS NOT ABLE TO
9 ATTEND THIS MEETING AS SHE WAS ON TRAVEL.

10 IS DR. RAYNE ROUCE ON THE CALL? AND I
11 THINK THAT'S NO. AND THEN SHARON TERRY. OKAY. SO
12 PERHAPS THEY WILL JOIN LATER.

13 THOSE ARE THE INTRODUCTIONS FOR THE
14 APPOINTED MEMBERS TO THE STANDARDS WORKING GROUP. I
15 KNOW WE HAVE A FEW PARTICIPANTS EITHER FROM OUR
16 BOARD OR THE CIRM LEADERSHIP TEAM ON THE ZOOM. DR.
17 MILLAN, WOULD YOU LIKE TO SAY AN INTRODUCTION?

18 DR. MILLAN: GOOD MORNING, EVERYBODY.
19 I'M MARIA MILLAN, THE PRESIDENT AND CEO OF CIRM.
20 I'VE BEEN HERE TEN YEARS, FIVE YEARS AS THE CEO. I
21 HAD THE GREAT HONOR OF LEADING CIRM AT THE END OF
22 THE PROP 71 ERA AND LAUNCHING INTO THIS CURRENT ERA
23 UNDER PROP 14 WITH THE PASSAGE OF THE 2020 BOND
24 INITIATIVE. AND SO WE ARE VERY EXCITED TO HAVE THIS
25 GROUP TOGETHER BECAUSE THERE'S SOME VERY IMPORTANT

BETH C. DRAIN, CA CSR NO. 7152

1 WORK AHEAD OF US.

2 WE'VE EXPANDED OUR CLINICAL TRIAL
3 PORTFOLIO TO 86 CLINICAL TRIALS, WHICH IS TRULY
4 REMARKABLE, AND IT'S CONTINUING TO EXPAND. AS YOU
5 KNOW, THE ACCESS AND AFFORDABILITY AND EQUITY
6 ASPECTS OF DELIVERING THESE TECHNOLOGIES AS WELL AS
7 CLINICAL TRIALS AND THEN DOWNSTREAM ACCESS FOR THE
8 COMMUNITIES IS A VERY IMPORTANT OBJECTIVE OF CIRM.
9 SO THIS GROUP, WE'RE REALLY VERY FORTUNATE TO HAVE
10 YOUR EXPERTISE DISCUSSING ALL THE ISSUES SURROUNDING
11 THIS. THANK YOU SO MUCH.

12 DR. LOMAX: THANK YOU. MARIA BONNEVILLE.

13 MS. BONNEVILLE: HI THERE. I'M MARIA
14 BONNEVILLE. I CURRENTLY AM THE VICE PRESIDENT OF
15 PUBLIC OUTREACH AND BOARD GOVERNANCE. THAT TEAM IS
16 TASKED WITH NOT ONLY BEING THE LIAISON WITH OUR
17 35-MEMBER BOARD, BUT ALSO COMMUNICATIONS AND
18 OUTREACH TO COMMUNITIES THAT WE LIKE TO REACH IN
19 CALIFORNIA OR TRY TO REACH IN CALIFORNIA. IF WE
20 THINK ABOUT IT, THERE'S 40 MILLION PEOPLE. SO WE
21 TRY AND HAVE DIFFERENT OUTLOOKS AND APPROACHES FOR
22 DIFFERENT COMMUNITIES.

23 I WILL BE ASSUMING THE VICE CHAIR POSITION
24 OF THE ICOC IN JANUARY, AND I LOOK FORWARD TO
25 WORKING WITH THIS GROUP IN THE FUTURE. THANK YOU.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. LOMAX: I BELIEVE THE REMAINDER OF THE
2 CIRM TEAM ON THE CALL WILL BE MAKING PRESENTATIONS.
3 SO I'LL JUST ALLOW THEM TO INTRODUCE THEMSELVES AT
4 THE BEGINNING OF THEIR PRESENTATION. AND I BELIEVE
5 WE HAVE ONE ADDITIONAL BOARD MEMBER ON THE CALL.
6 DR. GOLDSTEIN, WOULD YOU LIKE TO SAY A FEW WORDS?

7 DR. GOLDSTEIN: SURE. THANK YOU, JEFF.
8 MY CAMERA IS OFF BECAUSE I'M ALSO FLAT ON MY COUCH
9 RECOVERING FROM SURGERY. SO I APOLOGIZE FOR THAT.

10 I'M AT UC SAN DIEGO. I'M THE SANFORD
11 CONSORTIUM FOR REGENERATIVE MEDICINE. AND HAVING
12 RECENTLY READ THE HORRIFYING BOOK, *MEDICAL*
13 *APARTHEID*, I'VE BECOME QUITE INTERESTED IN CONSENT
14 ISSUES SINCE I THINK THAT'S ULTIMATELY THE POINT OF
15 THAT BOOK. THANK YOU.

16 DR. LOMAX: THANK YOU.

17 I BELIEVE THAT COVERS THE INTENDED
18 INTRODUCTIONS UNLESS I MISSED SOMEONE.

19 CO-CHAIRMAN KAHN: J.T.

20 DR. LOMAX: I WAS GOING TO TURN IT OVER TO
21 J.T. ONE OTHER REMINDER. JUST SO EVERYONE IS
22 AWARE, YOU GOT THE NOTICE, I THINK, ON THE ZOOM, BUT
23 THIS MEETING IS BEING RECORDED, TRANSCRIBED, AND
24 WILL REMAIN IN THE PUBLIC DOMAIN. SO JUST TO
25 PROVIDE THAT DISCLOSURE. THANK YOU.

BETH C. DRAIN, CA CSR NO. 7152

1 CO-CHAIRMAN KAHN: I'D JUST SAY ONE THING
2 TOO. EVERYBODY IS BEHAVING THIS WAY ALREADY, BUT
3 JUST TO REMIND FOLKS TO MUTE THEIR MICROPHONES WHEN
4 THEY'RE NOT SPEAKING WILL HELP ON THE ZOOM. AND
5 WE'LL USE THE HAND RAISE FUNCTIONS. I THINK WE'VE
6 ALL GOTTEN SO USED TO THAT IN THE LAST THREE YEARS,
7 WE KNOW HOW TO DO IT, BUT I THOUGHT I WOULD JUST SAY
8 SO ANYWAY. GREAT.

9 CHAIRMAN THOMAS: JEFF, I WILL TAKE IT
10 FROM HERE. THIS IS J.T., CHAIRMAN OF THE CIRM
11 BOARD. I ALSO APOLOGIZE FOR BEING ON THE PHONE.
12 I'M UNABLE TO GET ON MY INTERNET HERE IN LOS ANGELES
13 THIS MORNING.

14 IT'S A GREAT PLEASURE TO WELCOME ALL OF
15 YOU TO THIS VERY IMPORTANT MEETING OF THE STANDARDS
16 WORKING GROUP. I, BY THE WAY, HAPPEN TO BE ALSO
17 COINCIDENTALLY WITH CHAIRMAN KAHN A GRADUATE OF
18 GRANT HIGH SCHOOL IN LOS ANGELES. SO IT'S ALWAYS
19 NICE TO HAVE A HAVE A COUPLE OF LANCERS ON THE CALL
20 WHEN YOU CAN.

21 I'VE HAD A GREAT DEAL OF INTEREST IN THE
22 MEDICAL AND ETHICAL ISSUES SURROUNDING THE FIELD FOR
23 MANY YEARS ACTUALLY GOING BACK BEFORE THERE WAS A
24 STEM CELL FIELD THAT WE ALL ARE INVOLVED IN. I
25 TAUGHT A COURSE IN LAW SCHOOL ON THE ETHICAL ISSUES

BETH C. DRAIN, CA CSR NO. 7152

1 ARISING FROM GENETIC ENGINEERING AND MANIPULATION,
2 THE TERMINOLOGY BACK IN THE DAY, AND HAVE BEEN MOST
3 INTERESTED IN THE SUBJECT MATTER EVER SINCE.

4 THE LATEST PROPOSITION 14 THAT PASSED IN
5 NOVEMBER OF '20 FOLLOWS THE IDEA OF PROP 71 IN
6 CONVENING MEMBERS OF A STANDARDS WORKING GROUP TO
7 DEAL WITH MEDICAL ISSUES AND ETHICAL STANDARDS THAT
8 ARISE FROM OUR FIELD. AND THESE ARE MOST IMPORTANT.
9 THEY CONTINUE TO CROP UP AS THE TECHNOLOGY ADVANCES
10 AND SOMETHING THAT SERVES AS A GUIDANCE FOR CIRM AS
11 WE CONTINUE ALONG THIS PROCESS OF FUNDING STEM CELL
12 AND GENE THERAPY RESEARCH IN THE STATE OF
13 CALIFORNIA.

14 SO THE ROLES THAT ALL OF YOU ARE PLAYING
15 IN THIS CALL TODAY ARE CRUCIAL TO CIRM'S SUCCESS.
16 AND I JUST WANTED TO THANK YOU ALL IN ADVANCE VERY
17 MUCH FOR PARTICIPATING IN THIS, AND I LOOK FORWARD
18 TO A ROBUST DISCUSSION ON THE MANY TOPICS ON THE
19 AGENDA TODAY. THANK YOU VERY MUCH. GEOFF, BACK TO
20 YOU.

21 DR. LOMAX: THANK YOU, J.T.

22 SO TO SET THE STAGE FOR THIS MEETING,
23 WE'VE GOT A FEW, AS YOU CAN TELL FROM THE AGENDA, WE
24 HAVE AN OVERVIEW OF THE MISSION OF THE WORKGROUP AND
25 SOME BACKGROUND FROM OUR LEGAL TEAM ON THE BYLAWS

BETH C. DRAIN, CA CSR NO. 7152

1 FOR THE WORKING GROUP. WE ARE HOPING WE CAN GET
2 THAT COVERED AND THEN MOVE INTO SOME UPDATES ON SOME
3 CIRM PROGRAM UPDATES. AND WE ANTICIPATE THAT WILL
4 BE ABOUT THE FIRST THIRD OF THE MEETING, AND THEN
5 WE'RE GOING TO TAKE A BREAK, A 30-MINUTE BREAK. SO
6 JUST IN TERMS OF FOLKS THINKING ABOUT LUNCH,
7 REFRESHMENTS, OR BREAKS, WE PROBABLY HOPEFULLY HAVE
8 ABOUT AN HOUR AND A HALF TO TWO HOURS OF MATERIAL
9 AND THEN WE'LL TAKE A HALF-HOUR BREAK. SO I JUST
10 WANTED TO SET THE STAGE THERE AND LET YOU KNOW WHERE
11 THE BREAK WAS.

12 MARIANNE, COULD WE GET THE SLIDES UP
13 PLEASE, AND I'M GOING TO GO INTO AGENDA ITEM 2,
14 WHICH IS WORKGROUP MISSION AND MEETING PURPOSE. IF
15 YOU COULD -- THANKS. I THINK WE NEED TO SWITCH
16 DISPLAYS. BEAUTIFUL. THANK YOU. AND THE NEXT
17 SLIDE PLEASE.

18 SO OUR MISSION IS TO ACCELERATE
19 WORLD-CLASS SCIENCE TO DELIVER TRANSFORMATIVE
20 REGENERATIVE MEDICINE TREATMENTS IN AN EQUITABLE
21 MANNER TO CALIFORNIA AND THE WORLD. NEXT SLIDE
22 PLEASE.

23 THE AIM OF THIS PRESENTATION IS TO GIVE
24 YOU AN OVERVIEW OF THE WORKING GROUP'S CHARGE AND
25 HISTORY. AND THEN I WILL IDENTIFY SOME CONTEMPORARY

BETH C. DRAIN, CA CSR NO. 7152

1 ETHICS POLICY TOPICS. NEXT SLIDE PLEASE.

2 SO THIS IS THE LANGUAGE FROM PROPOSITION
3 14. AND THIS REALLY SUMMARIZES THAT THE WORKING
4 GROUP'S FUNDAMENTAL AIM IS TO PROVIDE OUR BOARD WITH
5 RECOMMENDATIONS FOR STANDARDS ON BOTH THE CLINICAL
6 ASPECTS OF OUR RESEARCH AND THE BASIC RESEARCH. AND
7 IT SPANS EVERYTHING FROM SORT OF HUMAN SUBJECTS AND
8 MEDICAL SIDE TO THE SOCIOECONOMIC AND FINANCIAL
9 ASPECTS OF OUR RESEARCH AND INCLUDES CLINICAL TRIALS
10 AND DELIVERY OF THERAPIES TO PATIENTS. NEXT SLIDE
11 PLEASE.

12 THE GROUP IS COMPRISED OF FIVE PATIENT
13 ADVOCATE MEMBERS OR NURSE MEMBERS FROM OUR BOARD,
14 NINE SCIENTISTS AND CLINICIANS WITH EXPERTISE IN
15 STEM CELL AND GENE THERAPY. YOU MAY NOTICE THAT
16 CURRENTLY WE HAVE APPOINTED SIX OF THOSE NINE
17 MEMBERS. SO WE'RE STILL IN THE PROCESS OF FILLING
18 OUT THE WORKING GROUP. SO YOU RECEIVED THE ROSTER,
19 YOU MAY NOTE THAT THE FOUR MEDICAL ETHICIST
20 APPOINTMENTS HAVE BEEN MADE, AND THEY'RE, AGAIN,
21 REFLECTED IN THE ROSTER, AND THE CHAIRPERSON OF THE
22 ICOC, JON THOMAS. NEXT SLIDE PLEASE.

23 SO I'M GOING TO GIVE A VERY HIGH LEVEL
24 SORT OF HISTORY OF SOME OF THE WORK THE GROUP HAS
25 DONE MAINLY SO YOU GET A SENSE OF SORT OF THE RANGE

BETH C. DRAIN, CA CSR NO. 7152

1 OF ISSUES WE'VE COVERED. AS DR. WAGNER ALLUDED TO,
2 VERY EARLY ON THERE WAS A NEED FOR FOUNDATIONAL
3 STANDARDS TO REALLY GUIDE THE BASIC ASPECTS OF STEM
4 CELL RESEARCH AND SPECIFICALLY THE OVERSIGHT OF
5 RESEARCH INVOLVING HUMAN EMBRYOS BECAUSE THERE WAS
6 NO FEDERAL POLICY BECAUSE THAT RESEARCH IS NOT
7 ALLOWED.

8 IN FACT, CIRM, UNDER PROPOSITION 71, ONE
9 OF ITS INITIAL REASONS FOR BEING WAS TO ENABLE THAT
10 RESEARCH WHICH OTHERWISE WAS NOT FUNDABLE BY NIH.
11 HOWEVER, AT THAT TIME THE NATIONAL ACADEMIES OF
12 SCIENCES DID PROVIDE A COMPREHENSIVE SET OF
13 GUIDELINES TO GUIDE THAT WORK. AND CIRM ADOPTED
14 THOSE GUIDELINES AND, IN FACT, PROPOSITION 14
15 DIRECTS CIRM TO REALLY MODEL STANDARDS AFTER THE
16 NATIONAL ACADEMY. SO WE WERE ABLE TO ADOPT THOSE
17 AND THEN FINALLY CODIFY THEM INTO FORMAL REGULATIONS
18 THAT GUIDES CIRM-FUNDED RESEARCH AND WOULD ALLOW US
19 TO ACTUALLY START FUNDING STUDIES.

20 SO THAT WAS THE EARLY PHASE WORK OF THE
21 WORKING GROUP, REALLY PROVIDING GUIDANCE IN TERMS OF
22 HOW TO STRUCTURE THOSE STANDARDS AND, AGAIN,
23 SPECIFIC PROVISIONS FOR THE OVERSIGHT OF RESEARCH
24 INVOLVING HUMAN EMBRYOS. NEXT SLIDE PLEASE.

25 SO FOLLOWING THE DEVELOPMENT OF OUR FORMAL

BETH C. DRAIN, CA CSR NO. 7152

1 REGULATIONS, THERE WAS A NEED TO REALLY UNDERSTAND
2 HOW THEY WERE WORKING AND HOW THEY COULD BE
3 IMPROVED. SORT OF A BODY OF REGULATION ALWAYS
4 SOUNDS GOOD CONCEPTUALLY, BUT THEN YOU HAVE TO GO
5 OUT AND SEE HOW IT'S WORKING IN THE FIELD. SO WE
6 SPENT ABOUT THREE YEARS DOING ON-SITE COMPLIANCE
7 EVALUATIONS. WE HAD A SERIES OF WORKSHOPS WITH THE
8 OFFICIALS FROM THESE INSTITUTIONS THAT WERE
9 RESPONSIBLE FOR IMPLEMENTING THE GUIDELINES. AS DR.
10 LEE ALLUDED TO, WE WORKED CLOSELY WITH THE ESCRO
11 COMMITTEES TO REALLY UNDERSTAND HOW TO MAKE THESE
12 RULES WORK IN A WAY THAT WAS CLEAR, TRANSPARENT, AND
13 REALLY ACHIEVING THEIR PURPOSE OF EFFECTIVE
14 OVERSIGHT.

15 AND WE CONTINUED TO INTERACT WITH THE
16 NATIONAL ACADEMIES' EMBRYONIC STEM CELL RESEARCH
17 OVERSIGHT COMMITTEE. THEY AMENDED THEIR GUIDELINES
18 THREE TIMES, I BELIEVE, BETWEEN 2005 AND 2010. SO
19 IT WAS WHAT I'D CHARACTERIZE AS A REFINEMENT STAGE
20 OF REALLY GETTING THE POLICY TO BE EFFECTIVE AND
21 ROBUST. NEXT SLIDE PLEASE.

22 AND THEN THE LAST SORT OF MAJOR PRODUCT OF
23 THE WORKING GROUP WAS A MODEL CONSENT FORM FOR A
24 LARGE INDUCED PLURIPOTENT STEM CELL BANK THAT CIRM
25 WAS FUNDING. WE HAD A NUMBER OF MEETINGS AND

BETH C. DRAIN, CA CSR NO. 7152

1 REPORTS TO DEVELOP A TEMPLATE THAT WOULD BE
2 ULTIMATELY UTILIZED IN SEVEN LARGE-SCALE TISSUE
3 COLLECTION AWARDS. AND THIS TEMPLATE WAS DESIGNED
4 TO REALLY CAPTURE ALL THE SORT OF CONSENT AND
5 DISCLOSURE-RELATED PROVISIONS THAT WOULD REALLY BE
6 NECESSARY TO MAINTAIN A ROBUST IPS BANK WHERE STEM
7 CELL LINES AND DONOR GENETIC DATA WOULD BE
8 DISTRIBUTED BROADLY, NOT ONLY NATIONALLY, BUT
9 INTERNATIONALLY.

10 AND TO THIS DATE OUR TEMPLATE CONTINUES TO
11 BE RECOGNIZED AS ROBUST, AND THESE LINES HAVE BEEN
12 UTILIZED INTERNATIONALLY FOR RESEARCH AND THEY
13 CONTINUE TO DO SO. SO WE ARE QUITE PROUD OF THAT.

14 I'LL PAUSE THERE BEFORE GOING ON TO THE
15 NEXT SLIDE JUST TO SEE IF THERE ARE ANY QUESTIONS,
16 CLARIFICATIONS THAT ANYONE HAS. I'M NOT SEEING ANY
17 HANDS. SO I WILL -- THANK YOU, JEFF. I WILL MOVE
18 ON TO THE NEXT SLIDE.

19 SO IN TERMS OF MOVING FORWARD, AND WE'LL
20 COME BACK, THE AIM IS TO SORT OF COME BACK TO A
21 SLIDE LIKE THIS AT THE END OF THE DAY TO GET YOUR
22 FEEDBACK. BUT THESE ARE THE TOPICS THAT WE CONTINUE
23 TO TRACK AS WE RECOGNIZE THEY ARE ONGOING ISSUES
24 THAT IMPACT BOTH THE RESEARCH WE FUND AND THE
25 STANDARDS WE PROMULGATE TO GUIDE THAT RESEARCH.

BETH C. DRAIN, CA CSR NO. 7152

1 THERE'S THE ONGOING HUMAN GENOME EDITING
2 INITIATIVE WHICH IS BEING LED BY THE NATIONAL
3 ACADEMIES. THERE HAVE BEEN REPORTS AND CONTINUE TO
4 BE DISCUSSIONS ABOUT EMBRYO MODEL SYSTEMS AND TO
5 WHAT EXTENT THERE'S ADDITIONAL OVERSIGHT OR REVIEW
6 NECESSARY FOR THOSE TYPES OF STUDIES.

7 THERE'S HUMAN NEURAL ORGANIDS AND THE
8 GENERAL USE OF STEM CELL MODELING BOTH IN ANIMALS
9 AND ULTIMATELY THE CLINICAL USE OF NEURAL STEM CELLS
10 WHICH IS A TOPIC OF DISCUSSION.

11 BLASTOCYST COMPLEMENTATION STUDIES WHICH
12 TENDS TO CENTER AROUND TO THE EXTENT THAT STEM CELLS
13 CAN BE USED TO DEVELOP ORGANS IN ANIMALS WHICH MIGHT
14 BE SUITABLE FOR HUMAN TRANSPLANTATION.

15 AND A TOPIC THAT CONTINUES TO CHALLENGE US
16 IN THE FIELD ARE UNAUTHORIZED TREATMENTS OR STEM
17 CELL CLINICS THAT ARE PROMOTING TREATMENTS THAT ARE
18 USING STEM CELLS, BUT THOSE TREATMENTS HAVE NOT BEEN
19 DEMONSTRATED TO BE SAFE AND EFFECTIVE. SO THESE ARE
20 TOPICS THAT, AGAIN, WE'D LIKE TO COME BACK AT THE
21 END OF THE DAY TO GET YOUR INPUT IN TERMS OF YOUR
22 THOUGHTS ON BOTH AN EXTENSION OF THIS LIST AND OTHER
23 TOPICS WE MIGHT WANT TO CONSIDER AS WE MOVE ALONG AS
24 A WORKING GROUP.

25 SO I'LL PAUSE HERE. THIS IS MY LAST SLIDE

BETH C. DRAIN, CA CSR NO. 7152

1 BEFORE WE GO ON TO THE NEXT PRESENTATION. BUT
2 AGAIN, TAKE A MOMENT IF THERE'S QUESTIONS OR
3 COMMENTS.

4 CO-CHAIRMAN KAHN: DON'T SEE ANY HANDS.
5 THANK YOU FOR THAT VERY CLEAR PRESENTATION.

6 DR. LOMAX: SO WHY DON'T WE THEN MOVE ON.
7 BEN, DO YOU WANT TO INTRODUCE YOURSELF. SORRY. I
8 DID HAVE ONE MORE SLIDE. MY MISTAKE.

9 SO I DID WANT TO POINT OUT ONE OTHER
10 ASPECT OF OUR WORK, REALLY CIRM'S WORK. WE HAVE THE
11 BENEFIT OF SORT OF TREMENDOUS BRAIN POWER, IF YOU
12 WILL, WITHIN THE CIRM SYSTEM. AND IN PARTICULAR, I
13 WANTED TO POINT OUT THAT THE STANDARDS WORKING
14 GROUP, THE WORK OF THIS WORKING GROUP REALLY
15 INTERACTS WITH TWO OTHER WORKING GROUPS QUITE
16 DIRECTLY. ONE IS OUR ACCESS AND AFFORDABILITY
17 WORKING GROUP, WHICH IS FOCUSED ON ACCESS TO TRIALS
18 AND TREATMENTS. AND YOU'RE GOING TO HEAR A
19 PRESENTATION LATER THIS MORNING ABOUT THAT WORK. SO
20 I WON'T SAY MUCH MORE THAN THAT.

21 AND THEN OUR GRANTS WORKING GROUP, WHICH,
22 AS YOU'VE HEARD AGAIN THIS MORNING, A NUMBER OF THE
23 MEMBERS ON THIS PANEL ALSO SERVE ON OUR GRANTS
24 WORKING GROUP. AND WHAT'S IMPORTANT THERE IS THE
25 GRANTS WORKING GROUP REALLY ENSURES A LEVEL OF

BETH C. DRAIN, CA CSR NO. 7152

1 SCIENTIFIC EXCELLENCE IN TERMS OF OUR WORK. SO
2 REALLY THE RECOGNITION THAT THE WORK WE ARE FUNDING
3 HAS GONE UNDER RIGOROUS INDEPENDENT PEER REVIEW. I
4 THINK FROM AN ETHICS STANDPOINT, THAT'S EXTREMELY
5 IMPORTANT, THAT THE WORK THAT'S MOVING FORWARD HAS
6 BEEN EVALUATED AND DEEMED SCIENTIFICALLY
7 MERITORIOUS.

8 SO I WANTED TO PROVIDE THAT CONTEXT AS
9 WELL SO THAT WE SEE HOW IN THE CIRM SYSTEM THE WORK
10 OF THIS GROUP WILL INTERACT WITH THESE OTHER
11 IMPORTANT WORKING GROUPS AND OTHER CIRM PROGRAMS.
12 BUT THESE ARE THREE THAT -- THESE ARE TWO THAT ARE
13 VERY IMPORTANT. THANK YOU. AND I THINK THAT'S MY
14 LAST SLIDE. YES.

15 MR. HUANG: HELLO. GOOD MORNING. MY NAME
16 IS BEN HUANG. I'M THE ASSOCIATE GENERAL COUNSEL AT
17 CIRM. AND I'M HERE TO -- NEXT SLIDE PLEASE. I'M
18 HERE TO JUST DO A VERY BRIEF PRESENTATION ON THE
19 STANDARDS WORKING GROUP BYLAWS AND ALSO NON-ICOC
20 CONFLICT OF INTEREST WHICH RELATES TO DISCLOSURE
21 REQUIREMENTS.

22 AND I REALIZE THAT WE HAVE NOT EXPLAINED
23 CIRM TERMINOLOGY HERE. SO REALLY QUICKLY, ICOC IS
24 THE SHORTHAND FOR CIRM'S INDEPENDENT CITIZENS
25 OVERSIGHT COMMITTEE, WHICH WE ALSO CALL OUR

BETH C. DRAIN, CA CSR NO. 7152

1 GOVERNING BOARD. AND SO THE NON-ICOC CONFLICT OF
2 INTEREST IS FAIRLY SPECIFIC TO A SUBSET OF THE
3 STANDARDS WORKING GROUP MEMBERS, AND THE ICOC
4 MEMBERS HAVE A MORE EXTENSIVE CONFLICT OF INTEREST
5 DISCLOSURE REQUIREMENT. NEXT SLIDE PLEASE.

6 SO THE DUTIES OF THE STANDARDS WORKING
7 GROUP ACTUALLY INCLUDES THE FOLLOWING IN OUR
8 REGULATIONS. I BELIEVE GEOFF IN HIS EARLIER
9 PRESENTATION CUT OVER A SECTION THAT IS KIND OF
10 ENCAPSULATED IN B. BUT THIS IS THE OFFICIAL LIST,
11 THE LEGAL LIST THAT'S IN OUR REGULATIONS. AND SO I
12 WILL DO SOME READING HERE.

13 SO FOR A, THE SWG SHOULD RECOMMEND TO THE
14 ICOC SCIENTIFIC AND MEDICAL ETHICAL STANDARDS AND
15 MODIFICATIONS TO EXISTING STANDARDS.

16 B WE KIND OF COVERED, BUT I'LL JUST READ
17 THIS OFF REALLY QUICKLY. RECOMMEND TO THE ICOC
18 STANDARDS FOR ALL MEDICAL, SOCIOECONOMIC, DIVERSITY,
19 AND FINANCIAL ASPECTS OF CLINICAL TRIALS AND THERAPY
20 DEVELOPMENT AND DELIVERY TO PATIENTS. THIS MAY
21 INCLUDE STANDARDS FOR EQUITABLE ACCESS TO THERAPIES,
22 SAFE AND ETHICAL PROCEDURES FOR OBTAINING MATERIALS
23 AND CELLS FOR RESEARCH, CLINICAL EFFORTS FOR THE
24 APPROPRIATE TREATMENT OF HUMAN SUBJECTS, AND
25 COMPLIANCE WITH PATIENT PRIVACY LAWS.

BETH C. DRAIN, CA CSR NO. 7152

1 C IS MAKE RECOMMENDATIONS TO THE ICOC ON
2 THE OVERSIGHT OF FUNDED RESEARCH TO ENSURE
3 COMPLIANCE WITH THE STANDARDS ABOVE.

4 AND D IS REGULARLY ADVISE THE ICOC AND
5 OTHER CIRM WORKING GROUPS ON RELEVANT ETHICAL AND
6 REGULATORY ISSUES. NEXT SLIDE PLEASE.

7 THE STANDARDS WORKING GROUP BYLAWS
8 SPECIFIES THE CO-CHAIR REQUIREMENTS. AND SO THE
9 ICOC SHALL APPOINT A PATIENT ADVOCATE MEMBER OF THE
10 SWG TO SERVE AS CO-CHAIR, AND THAT REPRESENTATIVE IS
11 MR. FISHER. AND IN ADDITION, THE ICOC SHALL APPOINT
12 A SCIENTIST CLINICIAN MEMBER OR AN ETHICIST MEMBER
13 OF THE SWG TO SERVE AS CO-CHAIR, WHICH IS OBVIOUSLY
14 DR. KAHN. NEXT SLIDE PLEASE.

15 THE SWG SHALL MEET IN PUBLIC SESSION, SUCH
16 AS THE ONE TODAY, EXCEPT FOR DISCUSSIONS RELATED TO
17 MATTERS INVOLVING PATIENT PRIVACY OR REVIEW OF A
18 COMPLAINT REGARDING INVESTIGATORS' OR INSTITUTION'S
19 COMPLIANCE WITH MEDICAL OR ETHICAL STANDARDS ADOPTED
20 BY THE ICOC. AND ALSO DISCUSSION OF OTHER MATTERS
21 THAT MAY BE CONSIDERED IN CLOSED SESSION UNDER STATE
22 LAW. NEXT SLIDE.

23 FOR QUORUM, 65 PERCENT OF THE SWG MEMBERS
24 WHO ARE ELIGIBLE TO VOTE SHALL CONSTITUTE A QUORUM.
25 ALL ACTIONS OF THE SWG SHALL BE TAKEN BY A MAJORITY

BETH C. DRAIN, CA CSR NO. 7152

1 VOTE OF THE QUORUM OF MEMBERS.

2 AND I THINK THAT'S JUST -- THE BYLAWS ARE
3 ACTUALLY QUITE SHORT. SO THAT ENCAPSULATES THE
4 BYLAWS.

5 THE MEMBERSHIP IS ALSO SPECIFIED. I'D
6 LIKE TO NOTE THAT GEOFF COVERED THAT IN HIS PREVIOUS
7 PRESENTATION, THE BREAKDOWN OF THE MEMBERSHIP FOR
8 THE SWG. ARE THERE ANY QUESTIONS? OKAY. I WILL
9 MOVE ON HERE TO CONFLICT OF INTEREST.

10 LIKE I NOTED EARLIER, THE CIRM ICOC
11 MEMBERS HAVE MORE EXTENSIVE DISCLOSURE REQUIREMENTS
12 THAN THOSE BELOW FOR THE NON-ICOC MEMBERS. A
13 GENERAL POINT IN OUR CIRM CONFLICT OF INTEREST
14 POLICY IS THAT THE NON-ICOC MEMBERS OF THE STANDARDS
15 WORKING GROUP ARE PRECLUDED FROM DERIVING DIRECT
16 FINANCIAL BENEFIT FROM CIRM THROUGH GRANTS, LOANS,
17 OR CONTRACTS AND FROM ACTING AS A PRINCIPAL
18 INVESTIGATOR ON ANY CIRM-FUNDED AWARD.

19 AND A CONFLICT OF INTEREST EXISTS WHEN
20 THERE IS A FINANCIAL OR OTHER INTEREST THAT
21 SIGNIFICANTLY IMPAIRS THE INDIVIDUAL'S OBJECTIVITY
22 OR THAT CREATES AN UNFAIR ADVANTAGE FOR ANY PERSON,
23 INSTITUTION, OR COMPANY. A NON-ICOC MEMBER HAS A
24 CONFLICT OF INTEREST WHEN ANY FINANCIAL INTEREST
25 IDENTIFIED IN SUBDIVISION B OF THIS PARTICULAR

BETH C. DRAIN, CA CSR NO. 7152

1 REGULATION IS THE SUBJECT OF A DECISION BEFORE THIS
2 WORKING GROUP. AND THE NEXT SLIDE CONTAINS ALL THE
3 PROVISIONS OF THAT SUBDIVISION B. SO NEXT SLIDE
4 PLEASE.

5 AND SO THIS IS THE DISCLOSURE WHICH SWG
6 MEMBERS WILL PROVIDE TO CIRM, AND WE WOULD COMPARE
7 THAT TO ANY ISSUES ON THE AGENDA THAT WILL BE THE
8 SUBJECT OF A DECISION. SO FOR NON-ICOC MEMBERS,
9 THEY MUST DISCLOSE THE FOLLOWING. IT IS
10 CONFIDENTIAL. ALL CALIFORNIA-BASED ACADEMIC OR
11 NONPROFIT RESEARCH INSTITUTIONS FROM WHICH THE
12 STANDARDS WORKING GROUP MEMBERS, SPOUSES, OR
13 BASICALLY OTHER FAMILY MEMBERS RECEIVE CURRENT
14 INCOME OF \$5,000 OR MORE. AND TWO WOULD BE ALL
15 BIOTECH AND PHARMA COMPANIES FROM WHICH MEMBERS,
16 SPOUSES, OR OTHER MEMBERS FROM WHOM A MEMBER HAS A
17 COMMON FINANCIAL INTEREST RECEIVE CURRENT INCOME OR
18 OTHER BENEFIT OF \$5,000 OR MORE. AND THE LAST ONE
19 IS ALL REAL PROPERTY INTEREST IN CALIFORNIA OF
20 \$5,000 OR MORE, INCLUDING REAL ESTATE INTERESTS OR
21 INTERESTS IN IP, SUCH AS PATENTS OR COPYRIGHTS HELD
22 BY MEMBERS, THEIR SPOUSES, OR OTHERS FROM WHOM A
23 MEMBER HAS A COMMON FINANCIAL INTEREST.

24 AND SO CIRM WOULD COLLECT THIS
25 INFORMATION. IT IS CONFIDENTIAL. AND WE WOULD

BETH C. DRAIN, CA CSR NO. 7152

1 DETERMINE CONFLICTS PRIOR TO ANY DECISION-MAKING
2 MADE BY THIS WORKING GROUP. AND THAT IS -- THOSE
3 ARE BASICALLY THE ISSUES, I THINK, THAT APPLY TO THE
4 STANDARDS WORKING GROUP, THE BYLAWS AND THE CONFLICT
5 OF INTEREST. SO ARE THERE ANY QUESTIONS? OTHERWISE
6 I THINK I PASS IT ON BACK TO YOU, GEOFF.

7 DR. LOMAX: SURE. ONE QUICK QUESTION,
8 BEN. I BELIEVE WE ARE REVISING OUR DISCLOSURE FORM;
9 IS THAT CORRECT? SO I JUST WANTED TO GIVE PEOPLE AN
10 EXPECTATION THAT WE'LL BE RECIRCULATING THE
11 DISCLOSURE FORM.

12 MR. HUANG: YES. WE'LL BE RECIRCULATING
13 THE DISCLOSURE FORM. THANK YOU.

14 DR. LOMAX: OKAY. SO WE'RE GOING TO MOVE
15 ON TO WHAT WE ARE CALLING THE CIRM PROGRAM UPDATES
16 PART OF THE AGENDA. IT'S A SHOULD REALLY SAY
17 SELECTED PROGRAM UPDATES. WHAT WE ARE REALLY KEYING
18 IN ON ARE PROGRAM AREAS THAT IMPACT PATIENTS AND THE
19 DELIVERY OF CELL AND GENE THERAPIES. AND SO WE
20 WANTED TO REALLY GIVE YOU ALL A SENSE OF THE SCOPE
21 OF CIRM CAPACITY AND INFRASTRUCTURE THAT GOES INTO
22 THE DELIVERY OF SPECIFICALLY CLINICAL TRIALS.

23 SO WITH THAT, I WOULD LIKE TO INVITE DR.
24 ABLA CREASEY TO INTRODUCE HERSELF AND BEGIN WITH AN
25 OVERVIEW OF OUR CLINICAL TRIALS PORTFOLIO.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. CREASEY: THANK YOU, GEOFF. GOOD
2 MORNING, EVERYONE. I AM ABLA CREASEY, AND I'M THE
3 HEAD OF THERAPEUTICS DEVELOPMENT AT CIRM. I'M GOING
4 TO GIVE YOU TODAY A BRIEF OVERVIEW OF OUR ACTIVE
5 CLINICAL TRIAL PORTFOLIO THAT COVERS IND-ENABLING
6 STUDY GRANTS AS WELL AS GRANTS THAT FILED AN IND
7 WITH THE FDA AND HAVE APPLIED TO CIRM FOR FUNDING TO
8 CONDUCT CLINICAL TRIAL RESEARCH STUDIES AND THAT
9 HAVE BEEN CLEARED BY THE FDA. ALL THE PROTOCOLS
10 WOULD HAVE BEEN GUIDED BY THE FDA AS WELL.

11 WE ALWAYS START OUR PRESENTATIONS WITH OUR
12 MISSION. YOU'VE ALREADY HEARD IT FROM GEOFF, BUT
13 I'M GOING TO SAY IT AGAIN. THE CIRM MISSION IS
14 ACCELERATING WORLD-CLASS SCIENCE TO DELIVER
15 TRANSFORMATIVE REGENERATIVE MEDICINE TREATMENTS IN
16 AN EQUITABLE MANNER TO A DIVERSE CALIFORNIA AND
17 WORLD. WE ACTUALLY LIVE BY THAT HOW WE PERFORM
18 EVERY DAY'S WORK.

19 AS YOU KNOW, THE CIRM FUNDS SUPPORT THE
20 PROVEN FUNDING MODEL IN FIVE KEY AREAS. ONE IS
21 BASIC RESEARCH, WHICH IS WE CALL DISCOVERY,
22 TRANSLATION, CLINICAL, INFRASTRUCTURE, AND
23 EDUCATION. MY TEAM AND I WORK IN THE AREA OF
24 TRANSLATION AND CLINICAL.

25 SO THE NEW STRATEGIC PLAN IS DESIGNED TO

BETH C. DRAIN, CA CSR NO. 7152

1 ENHANCE, ORGANIZE, AND INTERCONNECT CIRM'S PROVEN
2 FUNDING MODEL TO ACHIEVE THE OVERARCHING GOALS.

3 THE FIVE-YEAR STRATEGIC PLAN DISPLAYED ON
4 THIS SLIDE INCLUDES THREE PRINCIPLES WITH SPECIFIC
5 GOALS TO ACHIEVE FOR EACH. ONE IS ADVANCING
6 FIRST-CLASS SCIENCE. SECOND IS DELIVER WORLD-CLASS
7 SOLUTIONS. AND THIRD PROVIDE OPPORTUNITY FOR ALL.

8 FOR TODAY'S DISCUSSION I'M GOING TO
9 CONCENTRATE ON A KEY COMPONENT OF THE FIVE-YEAR
10 STRATEGIC PLAN, WHICH IS DELIVER REAL-WORLD
11 SOLUTIONS -- THAT INCLUDES ADVANCING THERAPIES TO
12 MARKETING APPROVAL -- AND MAINLY GIVE YOU A VIEW OF
13 WHAT OUR CURRENT ACTIVE CLINICAL PORTFOLIO LOOKS
14 LIKE. WE DON'T GENERALLY DO THAT. WE ACTUALLY HAVE
15 A VERY NICE DATABASE ON OUR WEBSITE, AND EVERYONE IS
16 WELCOME TO VIEW THAT AS WELL AT YOUR LEISURE.

17 SO THE THERAPEUTICS DEVELOPMENT TEAM,
18 ALONG WITH COLLABORATION WITH SEVERAL OTHER GROUPS
19 WITHIN CIRM, WE WORK CLOSELY TO MANAGE TRANSLATION
20 AND CLINICAL GRANTS. CIRM HAS BEEN SUCCESSFUL IN
21 ATTRACTING AND DERISKING PROJECTS IN EARLY STAGES,
22 PREPARING FOR A PRE-IND MEETING. AND THAT'S THE END
23 RESULT OF HAVING A TRANSLATION GRANT. CONDUCTING
24 IND-ENABLING STUDIES, AND THAT'S WHAT A CLIN1 GRANT
25 DOES. AND THEN PROGRESSING TO EARLY CLINICAL

BETH C. DRAIN, CA CSR NO. 7152

1 DEVELOPMENT WITH SOME PROGRESSING TO MID TO LATE
2 CLINICAL DEVELOPMENT, WHICH I WILL COVER LATER IN
3 THE PRESENTATION.

4 AS YOU SEE IN THIS SLIDE, MUCH OF THE
5 PORTFOLIO IS CURRENTLY IN THREE MAIN THERAPEUTIC
6 AREAS: NEURO, ONCOLOGY, WHICH COVERS BLOOD AND
7 SOLID CANCER, HEMATOLOGICAL DISORDERS SUCH AS SICKLE
8 CELL AND THALASSEMIA. PLEASE NOTE THAT OUR
9 PORTFOLIO IS A BY-PRODUCT OF A PASSIVE AND SOMEWHAT
10 PROACTIVE PROCESS OF GRANTS SUBMISSION. THE TEAM
11 AND BUSINESS DEVELOPMENT AS WELL AS OTHER GROUPS
12 REACH OUT TO ACADEMIC AND NONPROFIT ORGANIZATIONS
13 AND ENCOURAGE THEM TO APPLY TO CIRM. AND WE
14 FREQUENTLY ADVISE AND CONSULT FOR THOSE WHO APPLY
15 BEFORE THEY SUBMIT THE GRANT TO CIRM. AND, AGAIN, I
16 WANT TO EMPHASIZE THAT MOST OF OUR GRANTS ARE IN
17 EARLY DEVELOPMENT. SOME HAVE PROGRESSED TO
18 MID-CLINICAL AND SOME PIVOTAL TO LATE CLINICAL, AND
19 I'LL DESCRIBE THAT FURTHER LATER.

20 THE CLINICAL PORTFOLIO INCLUDES CLIN1
21 GRANTS, WHICH I SAID ARE FOR IND-ENABLING STUDIES.
22 AND THEN THE CLIN2 GRANTS AFTER AN IND HAS BEEN
23 FILED. I WANT TO ALSO EMPHASIZE THAT THE CLINICAL
24 PROTOCOL HAD BEEN CLEARED BY THE FDA AND SHARED WITH
25 US AFTER IT HAD DISCUSSION WITH THE REGULATORS. AS

BETH C. DRAIN, CA CSR NO. 7152

1 YOU CAN SEE, THE PORTFOLIO CONTINUES TO COVER ALL
2 STAGES OF CLINICAL DEVELOPMENT FROM IND-ENABLING TO
3 PHASE 1, PHASE 1-2, PHASE 2, PHASE 3. AND THERE'S A
4 SMALL NUMBER OF THE GRANTS IN LATE STAGE OF
5 DEVELOPMENT, WHICH THE COLOR LIGHT BLUE AT THE END
6 OF EACH OF THE BAR GRAPHS IS THOSE LATER STAGE
7 GRANTS.

8 THE GRADUAL GROWTH OF THE CLINICAL TRIAL
9 PORTFOLIO IS SHOWN ON THIS SLIDE. YOU HEARD DR.
10 MILLAN MENTION THAT WE'VE SUCCESSFULLY BEEN ABLE TO
11 RECRUIT 86 CLINICAL TRIALS. AND THAT'S BEEN QUITE
12 AN ACHIEVEMENT BY EVERYONE. THAT ALL STARTED IN
13 2004 TO 2016. THERE WERE 17 GRANTS AWARDED,
14 CLINICAL GRANTS AWARDED. AND BY THE END OF 2020, WE
15 ACTUALLY ACHIEVED 51 NEW CLINICAL TRIALS, WHICH WAS
16 ALSO AN ACHIEVEMENT THAT WAS NOT ACTUALLY PREDICTED.
17 AND NOW WE ARE AT 86. SO ON OUR TRAJECTORY TO
18 CONTINUE TO GO HIGHER AND HIGHER OVER TIME.

19 THE CLINICAL TRIALS COVER SEVERAL
20 THERAPEUTIC AREAS IN VARIOUS DEVELOPMENT PHASES
21 WHICH, AGAIN, I'LL SHARE WITH YOU MORE DETAILS.
22 WHAT'S EXCITING IS THAT OVER 50 PERCENT OF THE
23 CIRM-FUNDED CLINICAL PROJECTS ARE REALLY PARTNERED
24 WITH INDUSTRY. AND THAT'S QUITE AN ACHIEVEMENT FOR
25 BOTH THE GRANTEE AND CIRM.

BETH C. DRAIN, CA CSR NO. 7152

1 THE THREE MOST PROMINENT THERAPEUTIC
2 MODALITIES THAT ARE IN OUR DATABASE ARE
3 GENE-MODIFIED CELL THERAPEUTICS, SUCH AS HSC'S AND
4 MSC'S. AND THEN WE HAVE BIOLOGIC THERAPEUTICS THAT
5 INCLUDE MONOCLONAL ANTIBODIES, BUT THE CAVEAT IS
6 THAT THOSE ANTIBODIES HAVE TO HAVE A STEM CELL
7 INVOLVEMENT. JUST WANTED TO NOTE THAT GENE THERAPY
8 AS A FUNDED MODALITY WAS APPROVED BY THE ICOC, WHICH
9 WE ALSO CALL THE CIRM BOARD, ONLY A COUPLE OF YEARS
10 AGO. WE ARE STARTING TO SEE MORE AND MORE GENE
11 THERAPY GRANT SUBMISSIONS. AND UP TO THIS EXAMPLE,
12 WE WILL HAVE MORE IN THAT AREA IN THE NEXT COUPLE
13 YEARS.

14 SO I'LL START IN MORE DETAILS, AND WE'LL
15 GO THROUGH THIS QUICKLY, TO SHOW YOU THE
16 DISTRIBUTION OF OUR ACTIVE CLINICAL TRIALS GRANTS
17 THROUGH THE PHASES OF DEVELOPMENT WHICH YOU CAN SEE
18 AND REVIEW ON THE CIRM WEBSITE AS I MENTIONED.

19 SO WHEN IT COMES TO ACTIVE PHASE 1
20 CLINICAL TRIALS, THERE ARE CURRENTLY 30 OF THEM.
21 THERE ARE 15 DISPLAYED ON THIS SLIDE, AND I WILL
22 SHOW YOU THE NEXT SLIDE TO COVER THE REST OF THE 30.
23 BEFORE I DO THAT, I JUST WANTED TO MENTION THAT, IF
24 YOU LOOK AT THE TARGET ENROLLMENT, YOU NOTICE THAT
25 MOST OF THE TRIALS HAVE RELATIVELY SMALL NUMBER OF

BETH C. DRAIN, CA CSR NO. 7152

1 PATIENTS. AND THAT ACCENTUATES THE FACT THAT IN
2 PHASE 1 WE REALLY ARE EVALUATING THE SAFETY OF THE
3 APPROACH. AND IT IS OFTEN, SINCE THIS IS CLINICAL
4 RESEARCH, IT'S AN OPPORTUNITY FOR FOLKS TO LEARN
5 MORE ABOUT THE MODALITY THEY'RE STUDYING AND ADJUST
6 THEIR CLINICAL PROTOCOLS ACCORDINGLY.

7 SO HERE IS THE NEXT 15 PHASE 1 TRIALS.
8 AGAIN, THE INDICATIONS VARY. I ALREADY MENTIONED
9 NEUROLOGY, ONCOLOGY, AND HEMATOLOGICAL INDICATIONS
10 TEND TO BE MORE PROMINENT.

11 AGAIN, DIVERSITY OF THE THERAPEUTIC AREAS
12 IS INDICATED AGAIN IN THE PHASE 1 TRIALS; BUT,
13 AGAIN, REMINDING YOU THAT WE ACCEPT EVERY GRANT
14 THAT'S SUBMITTED PENDING THE GWG, THE GRANTS WORKING
15 GROUP, RECOMMENDATION AND THEN ICOC APPROVAL. SO
16 IT'S NOT UP TO THE CIRM RECRUITERS OR EMPLOYEES TO
17 DO THAT PART, MEANING MY TEAM OR OTHER TEAMS OUTSIDE
18 THE GWG AND ICOC.

19 SO WE HAVE THEN 12 PHASE 1-2 ACTIVE
20 TRIALS. AND THE DISTINCTION OF THOSE IS THAT THE
21 GRANTEES HAVE WORKED OUT A PROTOCOL IN WHICH THEY
22 WILL RUN A PHASE 1 TRIAL TO ASSESS SAFETY ALONG WITH
23 EVALUATING PRELIMINARY EFFICACY OF THEIR MODALITY.
24 AND THAT'S, AGAIN, IN AGREEMENT WITH THE REGULATORS.
25 AND FOR THAT REASON, WE ALSO DISTINGUISH PHASE 1

BETH C. DRAIN, CA CSR NO. 7152

1 TRIALS ALONE.

2 AGAIN, IF YOU LOOK AT THE LAST COLUMN OF
3 THE SLIDE, THE TARGETED ENROLLMENT IS MORE OR LESS
4 SMALLER NUMBERS. WHEN I WORKED IN THE BIOTECH
5 INDUSTRY AND THE PHARMA INDUSTRY, WE ACTUALLY
6 INVOLVED SOMETIMES SEVERAL THOUSAND PATIENTS IN THE
7 TRIALS, ANYWHERE FROM A COUPLE OF HUNDRED TO A
8 COUPLE OF THOUSAND. THIS IS TYPICAL FOR NEW AREAS
9 OF RESEARCH ESPECIALLY IN THE AREA OF SAFETY AND
10 EARLY ASSESSMENT OF EFFICACY.

11 WHEN IT COMES TO PHASE 2 CLINICAL TRIALS,
12 AGAIN, FOUR OF THE CLINICAL TRIALS IN OUR PORTFOLIO
13 ARE IN PHASE 2. TWO IN OPHTHALMOLOGY. THE CLIN2
14 GRANTS TO TEND TO BE A FOUR-YEAR TERM. BUT THE FOUR
15 GRANTS ON THE SLIDE ARE EITHER EARLY FIRST YEAR OR
16 SOME ARE CLOSER TO THE FOURTH YEAR OR LONGER. SO
17 SEVERAL OF OUR GRANTS PROGRESSED FROM DISCOVERY TO
18 TRANSLATION TO CLIN1 AND CLIN2.

19 AND SUCH AN EXAMPLE IS THOSE TWO
20 OPHTHALMOLOGY GRANTS THAT ARE SHOWN ON THE SLIDE.
21 THEY WERE AWARDED TO DR. HENRY KLASSEN AND JCYTE
22 CORPORATION, WHICH WAS STARTED BY DR. KLASSEN AND
23 OTHERS. AS YOU CAN SEE HERE, THE TWO GRANTS FUNDED
24 AT DIFFERENT TIMES FOR THE SAME GRANTEE TO ADVANCE
25 THE PROGRAM FURTHER INTO DEVELOPMENT. SO THAT'S

BETH C. DRAIN, CA CSR NO. 7152

1 NOT -- IT HAS HAPPENED FREQUENTLY WITHIN THE CIRM,
2 AND THAT'S WHAT WE CALL PROGRESSION EVENTS. AND
3 THAT ALLOWS THE GRANT ACTUALLY TO DO WELL BY THE
4 PATIENTS AS WELL AS BY THE ROBUST SCIENCE THAT THE
5 GRANTEE HAD DEVELOPED.

6 FINALLY, WE HAVE CIRM ACTIVE TRIALS IN
7 PHASE 3. AND BY THE WAY, THE AREA OF CELL AND GENE
8 THERAPY, THE PROGRESSION OF GRANTS OR PROGRESSION OF
9 CLINICAL TRIALS FROM PHASE 1, 2, AND 3 IS NOT
10 NECESSARILY HOLDING FAST TO NEEDING TO GO ALL THE
11 WAY TO PHASE 3 TO GET APPROVAL. IN OUR PORTFOLIO WE
12 HAVE THREE THAT ARE DIFFERENT, AGAIN, STAGE OF
13 MANAGING THEIR GRANTS. WE HAVE ONE IN NEUROBIOLOGY,
14 ONE IN TRANSPLANTATION, AND ONE IN ONCOLOGY WITH A
15 VASCULAR NICHE THEME TO IT. THOSE GRANTS HAVE --
16 LIKE THE OTHER SLIDE, THE ONE BY ANGIOCRINE IS NEW.
17 IT JUST GOT APPROVED BY THE BOARD IN THE LAST MONTH.

18 SO WE HAVE ALL THESE CLINICAL GRANTS. HOW
19 DOES THE CIRM TEAM MANAGE THEM? SO ADVANCING THE
20 PORTFOLIO IS REALLY A DYNAMIC PROCESS THAT ENGAGES
21 THE GRANTEES, CIRM, AND WHEN I SAY CIRM, IT'S
22 THERAPEUTICS DEVELOPMENT, BUT MANY OTHER ALSO LIKE
23 BUSINESS DEVELOPMENT, OFFICE OF THE PRESIDENT, AND
24 OTHERS PARTICIPATE AS NEEDED DURING THE CONDUCT OF
25 THESE CLINICAL ADVISORY PANELS.

BETH C. DRAIN, CA CSR NO. 7152

1 PATIENT REPRESENTATIVES ARE A KEY
2 COMPONENT AS WELL AS EXTERNAL EXPERTS. AND WE WORK
3 CLOSELY WITH THE GRANTEE TO IDENTIFY THE EXPERTS AS
4 WELL AS WE COME UP WITH OUR OWN AND MAKE
5 RECOMMENDATIONS. SO THE CLINICAL ADVISORY PANELS
6 HAVE BEEN A SUCCESS FOR US, AND WE HAVE REPLICATED
7 THAT IN THE TRANSLATION AREA AND WE CALL THEM TAP'S,
8 TRANSLATION ADVISORY PANELS. THE PURPOSE OF CAP IS
9 TO PROVIDE GUIDANCE AND ADVICE TO THE PROJECT TEAM.
10 A CAP IS ASSEMBLED BY CIRM FOR EACH CLINICAL STAGE
11 AWARD, AND MULTIPLE CAP MEETINGS OCCUR OVER THE
12 LIFETIME OF THE PROGRAM AWARD.

13 THE QUESTION YOU MIGHT BE ASKING: DO
14 THESE CAP'S MAKE ANY DIFFERENCE? BEFORE I ANSWER
15 THAT QUESTION, I JUST WOULD LIKE YOU TO ADMIRE WITH
16 ME HOW MANY CAP MEETINGS THAT WE'VE DONE SINCE 2016.
17 WE HAD 356 CAP MEETINGS. BY THE WAY, IT'S QUITE A
18 FEAT TO ACTUALLY ASSEMBLE THOSE GIVEN HOW BUSY
19 EVERYONE IS. WE HAVE INCLUDED 91 EXTERNAL ADVISORS,
20 AND WE INCLUDED 68 PATIENT REPRESENTATIVES. AND
21 THOSE NUMBERS ARE REALLY GROWING AS WE SPEAK.

22 SO YES, TO GO BACK TO MY EARLIER QUESTION,
23 DO THESE CAPS MAKE A DIFFERENCE, THE ANSWER IS
24 ABSOLUTELY YES AS MEASURED BY WHETHER THE CHALLENGES
25 THAT THE GRANTEES HAVE FACED WERE RESOLVED OR NOT,

BETH C. DRAIN, CA CSR NO. 7152

1 THESE GRANTEES RECEIVED THE GUIDANCE THEY NEEDED.

2 SO I JUST WANTED TO MENTION WHAT ARE SOME

3 OF THE OUTCOMES. FOR SOME OF THE GRANTS

4 MANUFACTURING CHALLENGES WERE OVERCOME. FOR SOME

5 THE CLINICAL TRIAL DESIGN WAS OPTIMIZED THROUGH

6 AMENDMENT. AGAIN, AMENDMENTS GO ALSO TO THE FDA.

7 THEY COME TO US AFTER THE FDA APPROVES THEM.

8 ENROLLMENT IS ENHANCED, AND THIS IS IN CLOSE WORK

9 WITH LIKE THE ALPHA CLINICS OR ANY OTHER CLINICS

10 THAT WE ARE IN THE KNOW WITH AND HELPS THE GRANTEE

11 TO IDENTIFY POTENTIAL NEW INTERESTED PARTIES.

12 REGULATORY ADVICE PROVIDED. WE HAVE EXPERTISE

13 WITHIN CIRM, BUT WE ALSO INVITE EXPERTS FROM THE

14 OUTSIDE, ESPECIALLY THOSE WHO HAVE PARTICIPATED IN

15 GETTING EITHER CELL AND GENE THERAPY APPROVED TO THE

16 MARKET.

17 WE ARE ACTUALLY STARTING A NEW KIND OF

18 CAP, WHICH IS MARKET APPROVAL ADVISORY PANEL, AND

19 THAT WILL INCLUDE ADVISORS WHO ACTUALLY HAVE HAD A

20 TRACK RECORD OF GETTING CELL AND GENE THERAPY TO

21 MARKET.

22 THE PARTNERING IS FACILITATED, AND I SAID

23 50 PERCENT OF OUR GRANTS HAVE BEEN ACTUALLY

24 PARTNERED. AND DEVELOPMENT PATHS DELINEATED. SO

25 THE CAP ADVICE, BASED ON OUR SURVEY THAT WE'VE DONE,

BETH C. DRAIN, CA CSR NO. 7152

1 75 PERCENT AND MORE OF OUR CLINICAL AWARDS. I THINK
2 WE ARE ALL HAPPY WITH THAT AND AIMING TO EVEN
3 ADVANCE IT MORE.

4 SO IN CONCLUSION, THE TRANSLATION AND
5 CLINICAL PORTFOLIO IS DIVERSE AND COVERS MULTIPLE
6 THERAPEUTIC AREAS. SEVERAL OF THE CLINICAL GRANTS
7 ARE IN EARLY DEVELOPMENT CONSISTENT WITH CIRM
8 DERISKING DEVELOPMENT. SOME OF THE GRANTS
9 PROGRESSED TO LATER STAGE DEVELOPMENT, WORKING
10 CLOSELY WITH CIRM WITH REPEAT GRANTS OVER THE YEARS
11 FOR THE SAME PROJECT. AND THEN THE CLINICAL AND
12 TRANSLATIONAL ADVISORY PANELS RESOLVED SEVERAL
13 TECHNICAL, REGULATORY, AND STRATEGIC CHALLENGES AND
14 FACILITATED PARTNERSHIPS.

15 WITH THAT, I WILL STOP AND THANK YOU. AND
16 HAPPY TO ANSWER ANY QUESTIONS THAT YOU MAY HAVE.
17 DR. GOLDSTEIN, PLEASE GO AHEAD AND ASK.

18 DR. GOLDSTEIN: THANK YOU, ABLA. TERRIFIC
19 PRESENTATION. I'M JUST INCREDIBLY IMPRESSED AT HOW
20 MANY TRIALS THERE ARE AND LOOK FORWARD TO MORE.

21 MY QUESTION IS HOW MUCH STANDARDIZATION OF
22 INFORMED CONSENT IS DONE ACROSS THE ALPHA CLINIC
23 NETWORK AND CIRM CLINICAL GRANTS IN GENERAL? I KNOW
24 THEY'RE VERY DIVERSE TECHNOLOGIES, BUT STILL IN
25 PRINCIPLE YOU COULD STANDARDIZE THE BASIC FORM

BETH C. DRAIN, CA CSR NO. 7152

1 TALKING ABOUT RISKS AND BENEFITS.

2 DR. CREASEY: ACTUALLY WE HAVE BEEN
3 WORKING TOWARDS ACHIEVING THE GOAL YOU ARE
4 DESCRIBING. AND SO MAYBE GEOFF CAN ANSWER ABOUT THE
5 ALPHA CLINICS AND STANDARDIZATION OF THE INFORMED
6 CONSENT THERE BECAUSE HE AND I HAVE BEEN DISCUSSING
7 THIS AND HE IS ONTO IT. WE ACTUALLY ARE ASPIRING TO
8 HAVE AS CLOSE OF A STANDARDIZATION OF INFORMED
9 CONSENT ACROSS THE ALPHA CLINICS, BUT ALSO AFFECTING
10 THE GRANTS THAT ARE SUBMITTED TO CIRM. GEOFF, YOU
11 WANT TO SAY ANYTHING ABOUT THAT?

12 DR. LOMAX: THANK YOU FOR THAT. THANK
13 YOU, DR. GOLDSTEIN. I THINK, AS YOU ALLUDED TO,
14 EACH OF THE AWARDS ARE UNIQUE IN THEIR OWN RIGHT.
15 SO THOSE CONSENTS ARE GOING TO REFLECT THE UNIQUE
16 NATURE OF THE ACTUAL CLINICAL PROTOCOL. AND THAT'S
17 SOMETHING THAT'S NOT SOMETHING THAT, I THINK, WE
18 COULD NECESSARILY STANDARDIZE.

19 BUT WHAT REALLY, I THINK, HAS COME UP, AND
20 THIS HAS COME UP RIGHT NOW IN THE DISCUSSIONS WITH
21 THE ALPHA CLINICS, WHICH FOR THE REST OF THE GROUP I
22 HAVE A BRIEF PRESENTATION MOMENTARILY THAT I'LL
23 ELABORATE A BIT MORE, BUT I THINK THERE'S A SERIES
24 OF CONSIDERATIONS IN THESE TRIALS IN GENERAL,
25 PARTICULARLY ABOUT DISCLOSURE AND PATIENT EDUCATION,

BETH C. DRAIN, CA CSR NO. 7152

1 THAT THE NETWORK IS VERY INTERESTED IN DEVELOPING
2 TOOLS. AND THAT'S REALLY ONE OF THE QUESTIONS WE'RE
3 GOING TO BE ASKING OF THE WORKING GROUP IN THE
4 ENSUING DISCUSSION. WHAT SUPPORT STRUCTURES AND
5 TOOLS CAN THE NETWORK PROVIDE TO REALLY ENABLE
6 ROBUST CONSENT?

7 THERE HAVE BEEN A NUMBER OF TOPICS THAT
8 HAVE COME UP. I THINK YOU WERE PART OF A DISCUSSION
9 WHERE WE ITEMIZED SOME INITIATIVES THAT ARE GOING ON
10 AND POTENTIAL OUTCOMES. AND PART OF WHAT WE WANTED
11 TO DERIVE FROM TODAY'S DISCUSSION WAS TO REALLY
12 REEVALUATE THAT LIST AND THEN PROVIDE A REPORT BACK
13 TO OUR ALPHA CLINICS NETWORK ON POTENTIAL TOOLS OR
14 RESOURCES THAT COULD BE DEVELOPED IN A NETWORK
15 ENVIRONMENT. SO TO THE POINT OF -- STANDARDIZATION
16 MIGHT BE A STRONG TERM, BUT A STANDARDIZED SET OF
17 TOOLS THAT THE NETWORK COULD UTILIZE, PARTICULARLY
18 BECAUSE THEY ALSO HAVE AN IRB AGREEMENT THAT IS A
19 RELIANCE AGREEMENT ACROSS THE NETWORK.

20 SO, FOR EXAMPLE, IF THERE WAS STANDARDIZED
21 EDUCATIONAL MATERIAL DISCUSSING A PARTICULAR RISK IN
22 THE GENE THERAPY TRIAL THAT ALL THE IRB'S
23 RECOGNIZED, THEN THAT TOOL COULD BE AVAILABLE, FOR
24 EXAMPLE, TO AN INFORMED CONSENT -- WITHIN THE
25 INFORMED CONSENT PROCESS. AND EVERYONE WITHIN THE

BETH C. DRAIN, CA CSR NO. 7152

1 NETWORK WOULD BE ABLE TO UTILIZE THAT IN A
2 CONSISTENT WAY.

3 SO THOSE ARE SOME OF THE IDEAS THAT HAVE
4 COME UP. AND I THINK, AS YOU WILL HEAR FROM THE
5 NEXT SET OF PRESENTATIONS, WE ARE IN A POSITION TO
6 POTENTIALLY IMPLEMENT SOME OF THOSE OPPORTUNITIES,
7 BUT WE'RE ALSO LOOKING TO MAKE SURE WE ARE GETTING
8 GUIDANCE FROM THIS GROUP AND OTHERS.

9 DR. CREASEY: DR. GOLDSTEIN, I THINK YOUR
10 POINT IS VERY IMPORTANT IN TERMS OF INFORMED
11 CONSENT, ALSO THE DIVERSITY OF OUR GRANTS. IT'S
12 BEEN ON MY LIST OF THINGS TO ACTUALLY START
13 ACCENTUATING AND THINKING ABOUT EACH OF THE GRANTS
14 SPECIFICALLY, HAVING US EVALUATE AND HELP THEM AND
15 THINK THROUGH THEIR INFORMED CONSENT BEFORE THEY
16 SUBMIT. BUT IT'S AN AREA THAT WE NEED TO VET A
17 LITTLE BIT MORE CAREFULLY AND WORK CLOSELY WITH
18 GEOFF AND SEAN AND THE ALPHA CLINICS. AND SO IT'S
19 ON OUR RADAR. SO STAY TUNED. WE'LL COME BACK TO
20 THAT MAYBE IN ANOTHER SIX MONTHS OR SO AFTER WE'VE
21 THOUGHT SOME MORE ABOUT IT.

22 DR. GOLDSTEIN: THANK YOU. ONE ISSUE I AM
23 CONCERNED ABOUT IS MAKING SURE THAT ALL OF THE
24 INFORMED CONSENTS SOMEHOW RECOGNIZE THAT EVEN BEYOND
25 THE LIFETIME OF CIRM, THERE MAY BE RISKS OR LOSS OF

BETH C. DRAIN, CA CSR NO. 7152

1 EFFICACY OR A VARIETY OF THINGS THAT COULD BE HARD
2 FOR PEOPLE OF VARYING EDUCATION LEVELS TO COMPREHEND
3 OR TO HAVE PRIOR KNOWLEDGE ABOUT.

4 DR. CREASEY: YES. YES. WE UNDERSTAND.
5 AND SO THAT'S WHY ESSENTIALLY WE ARE BUILDING THE
6 BASICS FOR THE TECHNOLOGIES WE KNOW ABOUT, AND
7 ESPECIALLY WHEN IT COMES TO INFORMED CONSENT FOR NEW
8 TECHNOLOGIES WHERE THE PATIENTS NEED A LITTLE BIT
9 MORE AWARENESS AND NOT ALSO HAVE AN INFORMED
10 CONSENT. WE HAVE BEEN TOLD THAT SOME OF THE
11 INFORMED CONSENTS CAN BE VERY LENGTHY, AND THE
12 PATIENTS NEED, LIKE, A TRANSLATOR IN ORDER TO HELP
13 THEM FIGURE OUT WHETHER THEY WANT TO BE ENROLLED OR
14 NOT. SO WE ACTUALLY -- WE HAVE NOW A GOOD TEAM THAT
15 WE CAN GET ON IT AND BE ABLE TO ADDRESS IT MORE
16 CAREFULLY. AND LIKE I SAID, WE'LL REPORT BACK.

17 DR. GOLDSTEIN: THANK YOU.

18 DR. CREASEY: YOU'RE WELCOME. OKAY. DR.
19 WAGNER. I DIDN'T SEE WHO WAS FIRST OR DR. KAHN.

20 CO-CHAIRMAN KAHN: JOHN IS FIRST.

21 DR. WAGNER: THAT MAY BE TRUE. BUT, JEFF,
22 IF YOU'RE GOING TO RESPOND ABOUT OR HAVE A STATEMENT
23 RELATED TO THE CONSENTS, THAT MAY BE BETTER NOW. I
24 HAVE SOMETHING TOTALLY DIFFERENT.

25 CO-CHAIRMAN KAHN: OKAY. SURE. I JUST

BETH C. DRAIN, CA CSR NO. 7152

1 WANT TO ASK A QUESTION, ABLA. VERY INTERESTING AND
2 INFORMATIVE. AND FOLLOWING ON DR. GOLDSTEIN'S
3 QUESTION, IS THERE ANY INTERACTION WITH IRB'S AT
4 EACH OF THE INSTITUTIONS WHERE THESE PROJECTS ARE
5 BEING UNDERTAKEN AS A WAY OF KIND OF NETWORKING FOR
6 THE PURPOSE OF SOME KIND OF STANDARDIZATION ON SOME
7 OF THE CONSENT ISSUES? MAYBE GEOFF WILL TALK ABOUT
8 THAT IN THE ALPHA NETWORKS PRESENTATION.

9 DR. CREASEY: THE ABILITY TO DO THAT IS
10 THERE FOR THE ALPHA CLINICS. BUT WHEN PEOPLE APPLY
11 TO CIRM, AND OUR REQUIREMENT TO RUN A CLINICAL TRIAL
12 IS THAT THEY HAVE AN IND AND THEIR CLINICAL PROTOCOL
13 HAS BEEN APPROVED BY THE FDA, THAT ALSO SUGGESTS
14 THEIR IRB ALREADY IS ON TRACK. SO IT'S HARDER TO
15 PUT, LIKE, IMPLEMENT OR KIND OF MODIFY ANYTHING
16 RELATED TO THE IRB.

17 BUT PART OF OUR -- WE ACTUALLY CALL OUR
18 CAMPAIGN FOR ATTRACTING PEOPLE TO APPLY TO CIRM
19 HUNTING. AND SO WHEN WE HUNT FOR POTENTIAL GRANTS,
20 THAT'S AN OPPORTUNITY TO RAISE THE ISSUES SUCH AS
21 HAVE YOU TALKED TO YOUR IRB ABOUT INFORMED CONSENT?
22 AND IS IT IN THE RIGHT SHAPE FOR THIS NEXT
23 GENERATION OF GENE THERAPY OR SRNA THERAPY OR
24 WHATEVER? THAT WILL BE MAINLY AN AWARENESS CAMPAIGN
25 RATHER THAN US INFLUENCING IN THE NEAR TERM.

BETH C. DRAIN, CA CSR NO. 7152

1 CO-CHAIRMAN KAHN: YEAH.

2 DR. LOMAX: JUST TO ADD TO THAT RESPONSE,
3 KEEP IN MIND THERE IS A REAL GRADATION OF HOW THESE
4 AWARDS COME THROUGH THE CIRM SYSTEM. SOME ARE
5 ENTIRELY INDEPENDENT. THEY'VE REALLY COME IN
6 EXTERNALLY AND THEY'VE BEEN DEVELOPED OUTSIDE OF
7 SORT OF THE CIRM DEVELOPMENT PIPELINE, BUT
8 UTILIZE -- THEY'RE TREATING PATIENTS IN CALIFORNIA,
9 WHICH OUR CLINICAL TRIAL OPPORTUNITIES HELP ENABLE.

10 AND THAT EXAMPLE IN CONTRAST TO WE HAVE A
11 NUMBER OF PROJECTS THAT ACTUALLY ARE BEING DEVELOPED
12 IN MULTIPLE ALPHA CLINICS CURRENTLY. AND PROGRAMS
13 LIKE THAT, THERE IS VERY ROBUST DISCUSSION BETWEEN
14 THE CLINICS. AND SO IN THOSE TYPES OF EXAMPLES,
15 THERE'S MORE OF A DEVELOPMENT OF THESE PROTOCOLS
16 WITH THE BACKGROUND OF HAVING AN IRB RELIANCE
17 AGREEMENT. SO IT JUST -- IT'S HARD TO SORT OF
18 GENERALIZE. IT REALLY DEPENDS SORT OF HOW THE
19 TRIAL'S COMING THROUGH THE PIPELINE, HOW IT'S BEING
20 DEVELOPED. SO IT WILL VARY IS JUST REALLY MY POINT
21 THERE.

22 BUT SOME OF THE DISCUSSIONS BETWEEN THE
23 DIFFERENT SITES AND THE IRB'S I'VE FOUND INCREDIBLY
24 ROBUST, IF YOU WILL. USE OF INTERACTIVE TOOLS,
25 IPADS WHERE PATIENTS -- IT'S NO LONGER A PIECE OF

BETH C. DRAIN, CA CSR NO. 7152

1 PAPER. IT'S DYNAMIC TOOLS, TRYING TO DEVELOP THOSE
2 TOOLS IN A WAY THAT YOU'RE LEARNING FROM THE
3 QUESTIONS THEY'RE ASKING AND CONTINUALLY
4 REEVALUATING. SO THERE ARE A NUMBER OF EFFORTS
5 WITHIN OUR NETWORKS TO REALLY TRY TO DO FIRST-RATE
6 EDUCATION, CONSENT, AND USING THESE SORT OF DYNAMIC
7 RESOURCES.

8 CO-CHAIRMAN KAHN: SOME OPPORTUNITY FOR
9 BEST PRACTICES. WE CAN CONTINUE TO TALK ABOUT THIS,
10 OF COURSE. THANKS.

11 DR. WAGNER: ABLA, I REALLY SEE ALL THE
12 SUCCESSES THAT HAVE BEEN MADE. I THINK THAT PART OF
13 THE MISSION OF THIS GROUP IS WHERE DO WE SEE
14 OPPORTUNITIES, AS WE JUST DISCUSSED, AND HOW WE CAN
15 DO THINGS BETTER. ONE THING AS I WAS THINKING
16 ABOUT -- WELL, THERE'S TWO THINGS IN PARTICULAR AS I
17 WAS THINKING ABOUT THIS AS YOU WERE SPEAKING.

18 BECAUSE YOU MENTIONED THE TERM "DERISKING"
19 IN THE EARLY STAGES OF DEVELOPMENT, BUT ALSO TALKING
20 ABOUT THE CLINICAL ADVISORY PANELS AND HOW THEY WERE
21 ABLE TO ACHIEVE CERTAIN OUTCOMES THAT WOULD FURTHER
22 DERISK, WHAT I THINK IS IMPLIED, BUT NOT REALLY
23 FORMALLY MENTIONED IS THAT DERISKING WOULD BE, IN MY
24 MIND, IS HOW DO WE AS A GROUP INCREASE THE
25 PROPORTION OF STUDIES THAT BEGIN IN EARLY

BETH C. DRAIN, CA CSR NO. 7152

1 DEVELOPMENT, PHASE 1, AND SUCCEED THROUGH THE
2 TRANSLATIONAL PIPELINE?

3 AS YOU KNOW, THE MAJORITY OF TRIALS FAIL
4 MOST IN PHASE 2 AND MANY IN PHASE 1. AND SO HOW DO
5 WE FURTHER DERISK THAT AND THE SUCCESS IN GETTING IT
6 TO THE NEXT PHASE? AND SO I THINK THAT, AS YOU
7 THINK ABOUT THE METRICS OF THE SUCCESS OF THIS WORK,
8 SOMEHOW THAT MIGHT BE TAKEN INTO ACCOUNT OF HOW DO
9 WE ASSURE THAT THE INFORMATION PROVIDED, WHETHER --
10 WHAT I HEARD, AND THIS IS TO SIMPLIFY MORE THAN WHAT
11 YOU SAID, WAS THAT YOU'RE DERISKING IN SOME WAYS BY
12 PROVIDING A PERHAPS POTENTIALLY BETTER PATHWAY IN
13 THE PHASE 1 CLINICAL TRIAL DESIGN. I'M ADDING WORDS
14 TO WHAT YOU SAID.

15 IN ADDITION, ALSO, IT COULD BE DERISKING A
16 PATHWAY TO GETTING FDA APPROVAL FOR THE FIRST IND.
17 ALL THOSE THINGS ARE STILL VERY IMPORTANT PARTS OF
18 THE PROCESS, BUT THEN ULTIMATELY WHAT THE AIM OF
19 CIRM IS IS TO HAVE A SUCCESSFUL THERAPY THAT IS
20 THROUGH THE PIPELINE, THAT HAS A DEMONSTRABLE IMPACT
21 ON THE SURVIVAL, LIFE OF INDIVIDUALS. SO IT'S PART
22 OF A COMMENT, BUT ALSO LIKE FRYING TO FURTHER
23 DISCUSS WHAT DERISKING MEANS. AND THAT'S, AGAIN,
24 PART OF THIS ORGANIZATION MOVING FORWARD IS HOW CAN
25 WE DO EVEN MORE THAN WHAT'S CURRENTLY BEING DONE?

BETH C. DRAIN, CA CSR NO. 7152

1 IT'S A COMMENT, A STATEMENT ABOUT THE TERM "DERISK."
2 THE SECOND THING IS THAT, AS I THINK ABOUT
3 WHAT WAS SAID IN THE VERY BEGINNING OF THE
4 CONVERSATION ABOUT PART OF THIS STANDARDS WORKING
5 GROUP IS TO THINK ABOUT HAVING A PRODUCT AT THE END
6 OF THE DAY THAT HAS GREAT IMPACT FOR ALL PEOPLE
7 WITHIN THE STATE, FOR ALL PEOPLE IN THE WORLD. WHEN
8 YOU GO BACK AND THINK ABOUT THE SUCCESS OF SOME
9 RECENT STUDIES, LET'S SAY, CAR-T CELLS FOR CD 19
10 POSITIVE LYMPHOMA LEUKEMIA. WHAT WE DON'T KNOW IS
11 THAT MOST OF THE WORLD CAN'T GET ACCESS TO THIS EVEN
12 THOUGH IT'S SO PROMISING AND REPRESENTS THIS NEW
13 GREAT FIELD OF INTEREST, BUT BECAUSE OF COST,
14 BECAUSE OF LOGISTICS IS NOT REALLY ACCESSIBLE TO THE
15 MAJORITY OF THE WORLD. RIGHT NOW IT'S NOT EVEN
16 ACCESSIBLE EASILY TO PEOPLE WITH NEWLY DIAGNOSED
17 DISEASE BECAUSE, UNTIL WE HAVE AN OFF-THE-SHELF
18 PRODUCT THAT'S READILY AVAILABLE DAY ZERO, UNLESS
19 THE DIAGNOSIS IS MADE, IT LIMITS US.
20 MORE IMPORTANTLY, I THINK IT'S JUST TO
21 ACKNOWLEDGE THE FACT THAT, EVEN THOUGH WE HAVE THIS
22 GREAT THERAPEUTIC, AND YET IT'S NOT AVAILABLE TO
23 MANY PEOPLE, THINK ABOUT ALSO THE RECENT EXPERIENCE
24 WITH ADRENLEUKODYSTROPHY AND GENE THERAPY WITH
25 COUNTRIES IN EUROPE SAYING WE ARE NOT EVEN GOING TO

BETH C. DRAIN, CA CSR NO. 7152

1 OFFER IT BECAUSE IT'S TOO EXPENSIVE.

2 SO THE ONE THING YOU ALSO POINTED OUT,
3 WHICH IS IMPORTANT AND I THINK IS GOOD ON THE ONE
4 HAND, IS THAT A SIGNIFICANT PROPORTION OF THE
5 STUDIES OR TRIALS ARE COSPONSORED BY INDUSTRY. AND
6 YET ONE OF CIRM'S MISSIONS MOVING FORWARD IS MAKING
7 THIS AVAILABLE AND LOOKING AT COSTS. AND YET WHEN
8 YOU HAVE A PRODUCT THAT'S COMING THROUGH INDUSTRY,
9 THERE'S ONLY, I THINK, SO MUCH CIRM CAN DO. OR I
10 SHOULD NOT PUT IT THAT WAY. IT SHOULD BE THAT WE
11 SHOULD BE THINKING ABOUT WHAT CIRM MIGHT BE ABLE TO
12 DO TO MAKE SURE THAT THE INTENTIONS ARE ALL
13 ACHIEVABLE; THAT ONCE IT MOVES TO INDUSTRY, IS THERE
14 ANY GUIDANCE OR GUIDELINES OR WHATEVER THAT CIRM CAN
15 PLAY A ROLE IN TO ENSURING THAT WHAT YOU BELIEVE
16 YOUR MISSION IS IS SOMETHING THAT AT THE END OF THE
17 DAY IS REALLY ACHIEVABLE OR NOT.

18 DR. CREASEY: THANK YOU VERY MUCH. YOU
19 SAID A LOT, AND YOU SAID A LOT OF VERY IMPORTANT
20 THINGS THAT ARE ACTUALLY ON OUR MIND. AND WE
21 HAVE -- WE ARE THINKING ABOUT HOW TO FACILITATE
22 GETTING, LIKE, THE THERAPIES SUCH AS CAR-T AVAILABLE
23 TO OTHER DIVERSE GROUPS WITHIN THE U.S. AND OUTSIDE,
24 ET CETERA. THERE ARE ALL KINDS OF PROGRAMS THAT
25 HAVE COME BEFORE US, AND WE ARE ENTERTAINING HOW TO

BETH C. DRAIN, CA CSR NO. 7152

1 WORK CLOSELY WITH SUCH PROGRAMS. BUT OUR MAIN TASK
2 TODAY WAS TO JUST FAMILIARIZE YOU WITH WHAT OUR
3 ACTIVE CLINICAL TRIAL GRANTS LOOK LIKE. BUT STAY
4 TUNED. I'M GLAD YOU ARE A MEMBER OF THE STANDARDS
5 COMMITTEE. SO WE ARE EMBARKING ON OTHER ACTIVITIES
6 AND WE'LL BRING THEM BEFORE YOU WHEN WE ARE READY.
7 MAYBE DR. MILLAN WOULD LIKE TO ADD.

8 DR. MILLAN: I JUST WANT TO RESPECT THE
9 CHAIR OF THE SWG IF YOU WANTED TO CONTINUE THIS
10 CONVERSATION. BUT IN RESPONSE, I THINK THIS IS THE
11 PURPOSE OF HAVING THESE CONVERSATIONS AT THE
12 STANDARDS WORKING GROUP IS THAT THERE ARE A LOT
13 OF -- WITH THE SUCCESS OF MORE OF THESE PROGRAMS
14 MAKING THEIR WAY THROUGH LATER STAGE RESEARCH THAT
15 WE ARE FACED WITH THESE CHALLENGES. SO WE REALLY DO
16 APPRECIATE THE CONVERSATIONS WITH THIS GROUP. IT IS
17 ALL IN DEVELOPMENT, AND WE REALLY DO VALUE THE INPUT
18 AND THE DIRECTION THAT THIS GROUP CAN PROVIDE US AS
19 WE EVALUATE HOW WE CAN IMPACT. BEING A FUNDING
20 AGENCY, WE CAN IMPACT BY THE TERMS OF AWARDS, THE
21 REVIEW CRITERIA FOR THE AWARDS.

22 SO THERE ARE ALL SORTS OF WAYS THAT WE CAN
23 INFLUENCE. SO YOUR GUIDANCE WILL BE VERY IMPORTANT
24 TO US BECAUSE THERE ARE VARIOUS WAYS ALONG THE LINE
25 OF BOTH GRANTING AND PARTNERING AND MANAGING THESE

BETH C. DRAIN, CA CSR NO. 7152

1 PROGRAMS, BOTH WITH OUR INDUSTRY GRANTEES AND OUR
2 ACADEMIC GRANTEES, THAT WE HOPE TO BE ABLE TO BRING
3 IT FORWARD WITH THE OBJECTIVE OF EQUITABLE ACCESS
4 AND TRULY BRINGING IT OUT TO THE COMMUNITY, NOT JUST
5 HAVING AN INITIAL SUCCESS, BUT TRULY HAVING SUCCESS
6 OF IMPACTING THE HEALTHCARE LANDSCAPE.

7 A VERY GENERAL RESPONSE, BUT I JUST WANTED
8 TO RESPOND TO ABLA'S REQUEST FOR ME TO SAY
9 SOMETHING. THANK YOU.

10 DR. CREASEY: THANK YOU, MARIA. SWG
11 CHAIRS, WOULD YOU LIKE ME TO CONTINUE OR STOP?

12 CO-CHAIRMAN KAHN: I THINK WE ARE OKAY.
13 WE CAN KEEP GOING. FRED HAS GOT HIS HAND UP.

14 CO-CHAIRMAN FISHER: THIS CONVERSATION,
15 WHICH IS GREAT, A WHILE AGO THE IDEA OF
16 UNDERSTANDING WHAT THE ROLE OF IRB IS IN THIS, AND
17 THEN JEFF KAHN ELABORATED ON THAT. AND IT HAS ME
18 WONDERING IF OUR EFFORTS KIND OF HAVE A BLIND SPOT
19 AROUND IRB AND WHETHER WE SHOULD BE INCLUDING IN THE
20 COMPOSITION OF THIS GROUP SOMEONE WITH DEEP IRB
21 EXPERIENCE SO THAT WE DON'T FIND OURSELVES
22 DEVELOPING THINGS THAT MAYBE THE CLINIC WILL GO FOR
23 AND MAYBE THE RESEARCHERS WILL GO FOR AND THE
24 COMPANIES WILL GO FOR, BUT THERE MIGHT BE A LAND
25 MINE WAITING FOR US WITH IRB AND HOW DO WE INTEGRATE

BETH C. DRAIN, CA CSR NO. 7152

1 THAT INTO OUR WORK SO THAT WE ARE TAKING A TRULY
2 INCLUSIVE APPROACH. MAYBE WE ALREADY ARE, BUT THOSE
3 WERE THE THOUGHTS THAT I HAD CONNECTED TO THIS
4 DISCUSSION.

5 DR. LOMAX: JUST ONE THING TO ADD THERE.
6 UNFORTUNATELY MELISSA LOPES COULDN'T JOIN US FOR
7 THIS PARTICULAR MEETING DUE TO TRAVEL, BUT SHE DOES
8 SIT IN THE EMBRYONIC STEM CELL OVERSIGHT COMMITTEE
9 IRB SPACE. AND WE ALSO ALWAYS HAVE THE OPPORTUNITY
10 TO BRING IN OUTSIDE EXPERTS TO ADVISE AS WELL. SO
11 IT'S CLEARLY A CRITICAL NEED. AND TO THE EXTENT
12 WE'VE TRIED TO ADDRESS IT, I DON'T KNOW IF THAT'S
13 SUFFICIENT, BUT THERE IS REPRESENTATION THERE IN
14 TERMS OF THE STANDING COMMITTEE.

15 CO-CHAIRMAN FISHER: I THINK THAT'S GREAT
16 TO KNOW. AS THIS GROUP SORT OF GETS UNDER WAY
17 AGAIN, IT'S GREAT TO KNOW AND FOR EVERYONE TO KEEP
18 IN MIND THAT WE CAN BRING IN OUTSIDE EXPERTISE IF WE
19 FEEL LIKE THERE'S A TOPIC THAT'S BEING CONSIDERED
20 THAT THE GROUP WANTS ANOTHER KEY INFORMANT TO
21 PARTICIPATE, THAT WE CAN DO THAT. THAT'S GREAT.

22 CO-CHAIRMAN KAHN: FRED, BETWEEN MELISSA
23 AND I, I THINK WE HAVE A LOT OF KNOWLEDGE AND
24 EXPERTISE ABOUT HUMAN SUBJECT RESEARCH POLICY. BUT
25 YOUR POINT ABOUT, I THINK, IRB COLLABORATION AND

BETH C. DRAIN, CA CSR NO. 7152

1 INSIGHTS ON THE IMPLEMENTATION WOULD BE REALLY
2 HELPFUL AS WE GET DEEPER INTO THAT. SO I THINK
3 THAT'S A REALLY HELPFUL POINT FOR US TO KEEP IN MIND
4 AS WE GO FORWARD. IT'S SORT OF EASY TO TALK ABOUT
5 POLICIES AND APPROACHES, BUT IT'S THE DOING THAT'S
6 REALLY IMPORTANT IN THIS INCREASINGLY COMPLICATED
7 AND, ABLA, YOUR POINT ABOUT VERY LONG CONSENT FORMS
8 THAT ARE FULL OF DETAIL AND OFTEN REALLY COMPLICATED
9 TERMINOLOGY AND CONCEPTS, IT'S NOT NEW. BUT I THINK
10 IT'S MAYBE EVEN MORE THE CASE AS THESE TECHNOLOGIES
11 EVOLVE IN THESE CLINICAL TRIALS WITH INCREASINGLY
12 COMPLEX TECHNOLOGIES GOING FORWARD. REALLY
13 IMPORTANT TO KEEP THAT ON OUR LIST.

14 DR. CREASEY: I AGREE. I AGREE. THANK
15 YOU.

16 DR. LOMAX: MAYBE IF I MAY JUST ADD ONE
17 OTHER POINT. I WAS ACTUALLY GOING TO RAISE IT. IT
18 COMES UP IN A LATER SLIDE, BUT IT'S ON TOPIC NOW, SO
19 I'LL MENTION IT. IF YOU REMEMBER, I ALLUDED TO THE
20 EVALUATION OF OUR ORIGINAL POLICIES THAT WE DID WITH
21 OUR GRANTEE INSTITUTIONS. THAT WAS SORT OF PHASE 2
22 OF THE SLIDES I PRESENTED EARLIER. AND NOW WITH OUR
23 ALPHA CLINICS NETWORK, WHICH I'LL DESCRIBE AGAIN
24 MOMENTARILY, WE DO HAVE THE OPPORTUNITY TO REALLY
25 SIT DOWN AT THAT LEVEL, WHETHER IT BE THE IRB, THE

BETH C. DRAIN, CA CSR NO. 7152

1 STEM CELL RESEARCH OVERSIGHT COMMITTEES, THE
2 REGULATORY LAYER OF THESE INSTITUTIONS, WE HAVE
3 MODELS THAT WE'VE IMPLEMENTED MANY TIMES WHERE WE
4 ARE ABLE TO REALLY SIT DOWN AND HAVE VERY IN-DEPTH
5 CONVERSATIONS ABOUT THESE SORT OF OPERATIONAL
6 ISSUES.

7 SO TO THE EXTENT WE THINK THERE ARE
8 RESEARCH NEEDS OR CONVERSATIONS THAT WOULD BE
9 HELPFUL, I, AGAIN, THINK WE'RE IN A POSITION TO
10 FACILITATE THOSE DISCUSSIONS AND BRING THAT BACK TO
11 THE WORKING GROUP IN SOME MANNER. SO JUST TO --
12 AGAIN, THAT WILL BE A POINT.

13 IT'S ONE OF THE QUESTIONS WE ACTUALLY HAD
14 FOR YOU LATER ON WAS ARE THERE AREAS WHERE WE
15 MIGHT -- IS THERE SOME ETHICS POLICY RESEARCH AREAS
16 WE MIGHT WANT TO CONSIDER? SO I'LL JUST PUT THAT
17 ONE OUT EARLY.

18 CO-CHAIRMAN FISHER: FROM A PATIENT
19 ADVOCATE POINT OF VIEW, I HAVE BEEN CONFRONTED WITH
20 SEVERAL DIFFERENT PATIENT CONSENT EXPERIENCES. AND
21 A COUPLE OF PEOPLE ON THIS CALL REFERENCED HAVING
22 JUST FINISHED A SURGERY. THOSE, IN MY EXPERIENCE,
23 PATIENT CONSENT FORMS AROUND SURGERY IS REALLY ABOUT
24 LIMITING THE HOSPITAL'S LIABILITY BECAUSE YOU'RE
25 BASICALLY TELLING THEM THAT YOU UNDERSTAND YOU MIGHT

BETH C. DRAIN, CA CSR NO. 7152

1 NOT SURVIVE THE PROCEDURE. AND THAT'S SUPPOSED TO
2 BE OKAY AND SOMEHOW MY SIGNATURE ACKNOWLEDGING THAT
3 MAKES A DIFFERENCE.

4 FROM A RESEARCH POINT OF VIEW, I'VE ALSO
5 BEEN PART OF RESEARCH IRB'S WHERE THE PERSON
6 GOING -- NOT IRB'S -- INFORMED CONSENT, THE PERSON
7 DOING THE INFORMED CONSENT PROCESS DOES THEIR BEST
8 TO SKIP OVER ALL THAT INTENSE LANGUAGE AND BASICALLY
9 CUT TO THE CHASE. IF YOU'VE EVER BOUGHT A CAR, THEY
10 LIKE RUN THROUGH THE STUFF OR GONE THROUGH A PROCESS
11 WHERE YOU'RE JUST INITIALING. KIND OF LIKE WHEN
12 YOU'VE EVER GOTTEN A MORTGAGE. YOU'RE INITIALING A
13 ZILLION THINGS, AND WHOEVER IS TAKING YOU THROUGH IT
14 IS GIVING YOU THE SHORTHAND VERSION OF STUFF. SO
15 SOMEONE GOES TO A LOT OF TIME CREATING VERY
16 COMPLICATED INFORMED CONSENT PROCEDURES FOR
17 RESEARCH.

18 AND THEN IT SEEMS, IN MY EXPERIENCE, THAT
19 THE NURSE COORDINATOR'S JOB ENDS UP BEING DISTILLING
20 ALL THAT LANGUAGE DOWN INTO THE SIMPLEST FORM JUST
21 SO YOU CAN MOVE FORWARD AND SIGN IT BECAUSE IN THE
22 END THEY'VE DECIDED AND YOU'VE DECIDED YOU WANT TO
23 BE PART OF THIS THING. THE INFORMED CONSENT PROCESS
24 HAPPENS BASICALLY AFTER EVERYBODY HAS DECIDED, YEAH,
25 LET'S DO THIS. AND SO THERE ARE SHORTCUTS THAT

BETH C. DRAIN, CA CSR NO. 7152

1 PROBABLY DON'T COME AS ANY BIG SURPRISE TO ANYBODY
2 HERE.

3 AND SO I LIKE TO NOT PRETEND THAT I'M IN A
4 WORLD THAT I'M NOT IN. THOSE RESEARCH INFORMED
5 CONSENT FORMS PRETEND LIKE THE PATIENT IS ACTUALLY
6 DIGESTING ALL OF THAT CONTENT IN THOSE FORMS WHEN IN
7 REALITY THEY LIKELY ARE NOT. AND KEEP THAT IN MIND
8 AS WE ARE MOVING FORWARD.

9 CO-CHAIRMAN KAHN: GEOFF LOMAX, WHERE ARE
10 WE ON TIME?

11 DR. LOMAX: WE WERE AIMING FOR A BREAK
12 AROUND ELEVEN. SO WE COULD, I THINK, MAYBE IF NOT
13 CUT OFF CONVERSATION, BUT I THINK WE CAN GET THROUGH
14 THE NEXT TWO PRESENTATIONS IN ABOUT 15 MINUTES. SO
15 IF WE CAN GET STARTED IN A FEW MINUTES ON THOSE, I
16 THINK WE'LL STILL BE RIGHT ON TIME AND WE CAN TAKE A
17 BREAK AT ELEVEN.

18 CO-CHAIRMAN KAHN: JOHN WAGNER, YOU WANT
19 THE LAST WORD ON THIS?

20 DR. WAGNER: IT WON'T BE THE LAST WORD,
21 I'M SURE. WHAT I CAN SAY IS THAT FOR THOSE OF YOU
22 AT CENTERS WHERE THEY RECENTLY HAVE SUBMITTED
23 RENEWAL FOR YOUR CTSA GRANTS, AS WE DID, THE FOCUS
24 NOW IS ON THE SCIENCE OF TRANSLATIONAL MEDICINE
25 RATHER THAN ON JUST SUPPORTING THE INFRASTRUCTURE

BETH C. DRAIN, CA CSR NO. 7152

1 FOR TRANSLATIONAL MEDICINE. AND IT'S REALLY A
2 DIFFERENT TWIST ON ALL OF THIS WHICH REALLY PLAYS IN
3 WELL TO WHAT OUR CONVERSATION IS NOW BECAUSE AT
4 LEAST A NUMBER OF US HAVE HAD CONVERSATIONS ABOUT
5 HOW DO WE IMPROVE THE CONSENT PROCESS USING NEW
6 TECHNOLOGIES, BUT THEN MAKING ITSELF A SCIENTIFIC
7 QUESTION.

8 SO I COULD SEE -- WITHIN THE CIRM
9 INSTITUTIONS, I CAN SEE OR OUTSIDE CIRM THAT THERE'S
10 AN OPPORTUNITY OF ACTUALLY MAKING IT INTO A
11 SCIENTIFIC QUESTION AND ASKING DOES THIS NEW
12 APPROACH MAKE THE CONSENT PROCESS MORE MEANINGFUL.
13 AND, AGAIN, WITH THESE NEW TECHNOLOGIES THAT ARE
14 AVAILABLE, IT'S REALLY AN INTERESTING TIME TO RELOOK
15 AT THE CONSENT AND SOMETHING TO THINK ABOUT.

16 DR. LOMAX: THAT IS A PERFECT SEGUE. IF I
17 MAY MOVE TO THE ALPHA CLINICS UPDATE.

18 CO-CHAIRMAN KAHN: GOOD IDEA.

19 DR. LOMAX: THANK YOU. IF YOU CAN DO THE
20 NEXT SLIDE. SO WE ARE ACTUALLY IN THE PROCESS
21 CURRENTLY OF ISSUING NINE ALPHA CLINIC AWARDS. THE
22 ALPHA CLINICS ARE A CLINICAL PLATFORM. THEY'RE
23 DESIGNED SPECIFICALLY TO SUPPORT CELL AND GENE
24 THERAPY CLINICAL TRIALS. THEY DON'T FUND THE ACTUAL
25 TRIALS. WHAT THEY FUND IS THE WRAP-AROUND

BETH C. DRAIN, CA CSR NO. 7152

1 INFRASTRUCTURE TO ALLOW THOSE TRIALS TO PROCEED
2 SUCCESSFULLY. SO THE STRUCTURE INCLUDES WHETHER
3 IT'S THE MANUFACTURING OR HANDLING OF PRODUCT. SO
4 THERE'S A CELL PHARMACY ASPECT TO A NUMBER OF THESE
5 AWARDS WHERE THEY'RE SUPPORTING GMP FACILITIES OR
6 CELL PROCESSING OR PRODUCT PROCESSING FACILITIES.

7 MANY OF THE -- ALL THESE AWARDS HAVE A
8 SUBSTANTIAL RESEARCH COORDINATOR COMPONENT. SO
9 THESE ARE RESEARCH COORDINATORS THAT ARE FLUENT AND
10 UNDERSTAND THE TECHNOLOGIES SPECIFICALLY RELATED TO
11 CELL AND GENE THERAPY. THERE ARE CLINICAL
12 EXPERTISE. AND DRAWING OFF OF DR. WAGNER'S POINT, I
13 DON'T WANT TO SAY ALL BECAUSE IT MAY NOT BE A TRUE
14 STATEMENT, BUT OVERWHELMINGLY THE CTSA'S ARE
15 INTEGRAL TO THESE AWARDS. THEY'RE COLLABORATIONS
16 WITHIN THE INSTITUTIONS THROUGH THEIR CTSA'S. SO
17 THERE IS, I THINK, A TRUE OPPORTUNITY TO SORT OF
18 LEVERAGE THE INITIATIVES THAT DR. WAGNER WAS
19 REFERRING TO WITHIN OUR NETWORK.

20 WHAT IT AMOUNTS TO REALLY IS HORIZONTAL
21 INTEGRATION OF CELL AND GENE THERAPY EXPERTISE
22 ACROSS THE MEDICAL CENTERS SO THAT, WHEN ANYONE
23 COMES TO ONE OF THESE CENTERS, WE CAN RAPIDLY
24 SUPPORT A TRIAL. AND THESE TRIALS COULD EITHER BE
25 CIRM-FUNDED TRIALS OR COMMERCIAL TRIALS WITH

BETH C. DRAIN, CA CSR NO. 7152

1 COMMERCIAL SPONSORS THAT HAVE NO CIRM FUNDING, AND
2 THEY COME IN AS A TRADITIONAL SPONSOR. BUT WHAT
3 THEY'VE GOT IS THE ABILITY AT THESE SITES TO RAPIDLY
4 BRING IN A CELL AND GENE THERAPY TRIAL.

5 IN ADDITION, THE POINT OF A NETWORK IS
6 SORT OF YOU SAY IT'S THE ONE PLUS ONE EQUALS THREE
7 IDEA, THAT WE TRULY -- THE WAY THESE APPLICATIONS
8 WERE SET UP, WE ASKED THE SITES TO REALLY PROPOSE
9 SORT OF VALUE-ADDED OPPORTUNITIES AND NETWORKING
10 OPPORTUNITIES THAT WOULD REALLY DERIVE VALUE. GO TO
11 THE NEXT SLIDE PLEASE.

12 AND REALLY THESE SORT OF, IF YOU WILL,
13 THESE LANES WHERE THEY'VE PROPOSED PROGRAMMATIC
14 ACTIVITIES THAT WILL RESULT IN VALUE ARE, FIRST OF
15 ALL, IN THEIR CLINICAL PLATFORMS, SO TOOLS FOR
16 DEVELOPING THE THERAPIES, THE CAPACITY TO REALLY
17 COORDINATE RESEARCH. AND SO WE HAVE A FAIR NUMBER
18 OF TRIALS THAT ARE OPEN AT MULTIPLE SITES. AND
19 THERE'S, AGAIN, A LOT OF CROSSTALK AMONG THE SITES
20 WHEN BRINGING THOSE TRIALS IN. THERE'S REGULATORY
21 EXPERTISE AND DEVELOPMENT OF MOU'S. PROBABLY THE
22 MOST SIGNIFICANT IN TERMS OF THIS DISCUSSION BEING A
23 COMMON IRB RELIANCE AGREEMENT. AND THERE'S SORT OF
24 TOOLS THEY DEVELOP THAT ALLOW, AGAIN, FOR MULTIPLE
25 SITE START-UP.

BETH C. DRAIN, CA CSR NO. 7152

1 SO ON THE THERAPY SIDE, THERE'S A NUMBER
2 OF COLLABORATIONS, SHARED USE OF GMP FACILITIES,
3 GENOMICS TOOLS, AND GENE EDITING TOOLS AND
4 TECHNOLOGIES. AND IN THIS PARTICULAR ROUND, WE PUT
5 A STRONG EMPHASIS AND REQUIREMENT ON ACCESS AND
6 INCLUSIVITY. SO THERE'S PATIENT REGISTRIES, THE USE
7 OF SHARED REGISTRIES AND PROTOCOLS FOR IDENTIFYING
8 PATIENT POPULATIONS. THERE'S A NUMBER OF EFFORTS
9 PROPOSED TO REALLY EXPAND THE COMMUNITY ENGAGEMENT
10 EFFORTS, WHICH MEANS REALLY GETTING OUT INTO THE
11 COMMUNITY, GETTING AWAY FROM THE ACTUAL MEDICAL
12 CENTER AND INTO THE COMMUNITY, AND FOLLOWING THAT UP
13 WITH ACTIVE PATIENT NAVIGATION.

14 AND SORT OF UNDERLYING ALL THESE EFFORTS
15 IS BUILDING THE WORKFORCE AND TRAINING AND EDUCATION
16 THAT'S NOW A CENTERPIECE OF ALL THE MAJOR CIRM
17 PROGRAMS. SO THERE'S A SORT OF CROSS-CUTTING
18 EDUCATIONAL COMPONENT TO THIS PROGRAM. AND LIKE I
19 SAY, AS I MENTIONED, WE ARE JUST INITIATING NINE NEW
20 AWARDS. AND I REALLY WANT TO SORT OF FLAG THIS AS
21 AN OPPORTUNITY BECAUSE A NUMBER OF THE AWARDS
22 ALREADY INDICATED AN INTEREST IN SORT OF ETHICAL
23 ISSUES AND WORKING WITHIN CTSA'S AND REALLY CITING
24 SOME OF THE EFFORTS THAT DR. WAGNER ALLUDED TO.

25 SO TO THE EXTENT, AGAIN, THERE'S THINKING

BETH C. DRAIN, CA CSR NO. 7152

1 IN THIS GROUP IN TERMS OF, AGAIN, EITHER RESEARCH
2 NEEDS OR ACTIVITIES THAT WE THINK WOULD BE
3 PARTICULARLY PRODUCTIVE, WE HAVE THE OPPORTUNITY
4 REALLY TO FEED THAT BACK INTO THE NETWORK AS WE
5 DEVELOP PLANS MOVING FORWARD OVER THE NEXT FIVE
6 YEARS. THESE ARE FIVE-YEAR AWARDS.

7 SO WE ARE STARTING PRETTY MUCH AT DAY
8 ZERO, AND THAT'S ONE OF THE REASONS WE WANTED TO
9 HAVE THIS CONVERSATION WITH YOU ALL THIS MORNING.
10 SO WITH THAT, I THINK I'LL STOP THERE. I THINK IT'S
11 JUST TWO SLIDES, BUT MAYBE WE CAN CHECK. I WAS
12 WRONG LAST TIME. GREAT. SO I DON'T KNOW IF THERE'S
13 ANY QUESTIONS ABOUT THE NETWORK OR ANYTHING THERE.

14 CO-CHAIRMAN KAHN: I DON'T SEE ANY HANDS.
15 ONE THING, GEOFF, MAYBE NOT FOR THIS MEETING
16 OBVIOUSLY, BUT MAYBE IN THE FUTURE WE COULD HEAR
17 FROM FOLKS WHO ARE FUNDED THROUGH THIS MECHANISM TO
18 SEE WHETHER THEY HAVE SOME ISSUES THAT WE CAN BE
19 HELPFUL WITH. IT'S A LITTLE HARD FOR US TO BE
20 PROACTIVE, I THINK. WE CAN DO IT BOTH WAYS, THINGS
21 THAT WE SEE, BUT ALSO HEARING FROM THE FUNDEES.

22 DR. LOMAX: WE WOULD BE HAPPY TO
23 FACILITATE THAT. I THINK THAT WOULD BE A GREAT
24 OPPORTUNITY FOR CROSSTALK. WE MAY HAVE SOME
25 REPRESENTATIVES FROM THE NETWORK JOINING. WE DID

BETH C. DRAIN, CA CSR NO. 7152

1 TRY TO HAVE A FEW FOLKS. I THINK WE MAYBE RAN THIS
2 MEETING A LITTLE TOO CLOSE TO THE HOLIDAYS AND IT'S
3 A BIT TRICKY FOR SOME. BUT THAT'S ABSOLUTELY
4 SOMETHING I'M SURE THE NETWORK WOULD WELCOME.

5 CO-CHAIRMAN KAHN: I KNOW WE TRIED TO GET
6 PEOPLE. I SEE SEAN IS UNMUTED. MAYBE HE WANTS TO
7 TALK.

8 DR. LOMAX: WHY DON'T WE MOVE ON.
9 ACTUALLY, DR. GOLDSTEIN, DO YOU HAVE A COMMENT?

10 DR. GOLDSTEIN: ONE MORE. WELL, I HAD A
11 QUESTION ABOUT THE ALPHA CLINIC NETWORK, GEOFF. I
12 MEAN YOU'VE DONE A LOVELY JOB DESCRIBING WHAT IS
13 POTENTIALLY A LARGE CAPACITY. SO I GUESS THE
14 QUESTION, WHICH IS PARTLY A STANDARDS QUESTION AND
15 PARTLY AN OPERATIONAL QUESTION, DOES THE ALPHA
16 CLINIC NETWORK HAVE THE CAPACITY TO BRING A THERAPY
17 TO REGISTRATION IN THE ABSENCE OF INDUSTRY
18 INVOLVEMENT?

19 DR. LOMAX: I WOULD HESITATE TO ANSWER
20 THAT QUESTION BECAUSE I DON'T KNOW WHAT THE ANSWER
21 IS, BUT IT'S CERTAINLY ONE WE CAN ASK.

22 DR. GOLDSTEIN: IT'S WORTH THINKING ABOUT
23 BECAUSE ONE OF THE ETHICAL ISSUES IN TRIALS, OF
24 COURSE, IS ACCESS TO TRIALS EVEN WHEN THERE'S NOT A
25 COMPANY SUPPORTING THE TRIAL DIRECTLY.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. MILLAN: GEOFF, DID YOU WANT ME TO
2 COMMENT ON THAT?

3 DR. LOMAX: PLEASE.

4 DR. MILLAN: DR. GOLDSTEIN, THAT'S A
5 REALLY EXCELLENT POINT BECAUSE THIS IS A TOPIC
6 THAT'S ARISEN ON MULTIPLE OCCASIONS BECAUSE ABOUT A
7 THIRD OF OUR PORTFOLIO ACTUALLY IS IN RARE DISEASE
8 WITH CELL AND GENE THERAPY WHERE THIS IS A VERY
9 IMPORTANT TOPIC WHERE SMALL NUMBERS, IT DOESN'T HAVE
10 THE INTEREST OR IT'S NOT REALLY ON THE RADAR OF MOST
11 COMPANIES TO BRING IT FORWARD TO COMMERCIALIZE IT
12 EXCEPT FOR PERHAPS IT'S INTO A PLATFORM APPROACH,
13 FOR INSTANCE. BUT TYPICALLY THAT IS -- A LOT OF
14 THOSE PROGRAMS ARE AT RISK IN TERMS OF, EVEN IF
15 THERE'S STRONG PROGRESS, THEY MAY NOT BE PROGRESSED.

16 WE HAD ACTUALLY ONE OF THE PROGRAMS THAT'S
17 OUT IN THE PUBLIC DOMAIN WITH AN ADA-SCID, ADENOSINE
18 DEAMINASE DEFICIENCY-BASED SEVERE COMBINED
19 IMMUNODEFICIENCY, PROGRAM THAT CIRM HAS BEEN A BIG
20 SUPPORTER OF OUT OF UCLA, DON KOHN'S PROGRAM. IT
21 WAS SPUN OUT INTO A COMPANY, ORCHARD THERAPEUTICS,
22 AS A LEAD CANDIDATE. BUT FOR CORPORATE REASONS, IT
23 WAS NOT CONTINUED. THROUGH A VARIETY OF WAYS AND
24 INFLUENCE THROUGH PATIENT ADVOCACY AND CIRM'S POLICY
25 AS WELL AS WITH AGREEMENT FROM THE COMPANY, IT WAS

BETH C. DRAIN, CA CSR NO. 7152

1 SENT BACK TO UCLA. THE IP WAS RETURNED SO THAT THE
2 PROGRAM COULD CONTINUE. THAT'S BEEN REOPENED SO
3 THAT IT CAN PROCEED AS AN ACADEMIC TRIAL.

4 NOW, THAT PROGRAM HAS DATA FROM JUST SHORT
5 OF 50 PATIENTS CURED WITH MANY FOLLOW-UP STUDIES OF
6 FIVE YEARS. IT'S INCREDIBLE. THEY'RE CURED OF THE
7 FATAL IMMUNODEFICIENCY. SO VERY STRONG CLINICAL
8 DATA, YET IT DOESN'T FIT INTO THE STANDARD MODEL OF
9 HOW YOU COMMERCIALIZE THESE PRODUCTS.

10 SO WE DON'T HAVE ANY ANSWERS YET, BUT IT'S
11 A VERY IMPORTANT PROBLEM STATEMENT FOR CIRM BECAUSE
12 OUR ROLE IS TO DERISK PROGRAMS FOR UNDERSERVED
13 DISEASES AND UNDERSERVED POPULATIONS THAT DON'T
14 OFTEN FIT INTO THE STANDARD MODELS OF TODAY.

15 SO THE ACADEMIC CLINICAL TRIAL AND
16 HEALTHCARE DELIVERY PROGRAMS, INCLUDING THE ALPHA
17 CLINICS AND THE FUTURE COMMUNITY CARE CENTERS OF
18 EXCELLENCE, HOPEFULLY WILL BE A WAY THAT WE CAN
19 START TO MOLD A DIFFERENT MODEL WHERE THESE TYPES OF
20 THERAPIES CAN GO FORTH, BUT WE DON'T HAVE THE ANSWER
21 YET. THAT IS DEFINITELY ON OUR RADAR. AND
22 MEANWHILE WE ARE SUPPORTING THE PROGRAMS THE BEST WE
23 CAN SO THEY CAN STAY IN THE MIX, AND THEY CAN GAIN
24 THE CLINICAL DATA AND MAKE THEIR WAY THROUGH THE
25 DEVELOPMENT PATH AND REGULATORY APPROVAL.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. GOLDSTEIN: THANK YOU. THAT'S VERY
2 HEARTENING.

3 DR. LOMAX: SO I THINK WE CAN TURN IT OVER
4 TO SEAN BECAUSE, AGAIN, THERE'S SORT OF A THIRD LEG
5 OF THIS TRIAD AND ANOTHER MAJOR OPPORTUNITY HE'S
6 HERE TO DESCRIBE THIS MORNING.

7 DR. TURBEVILLE: CERTAINLY. SO WELCOME,
8 EVERYBODY. I AM GOING TO ASK GEOFF PERHAPS TO
9 MANAGE THE SLIDES IF THAT'S POSSIBLE OR PERHAPS
10 MARIANNE. ALL RIGHT. WONDERFUL.

11 WELL, THANK YOU, EVERYBODY, MR. CHAIRMAN,
12 AND OUR NOW CO-CHAIRMAN AND MEMBERS OF THE BOARD.
13 THIS IS A FASCINATING DISCUSSION. MY NAME IS SEAN
14 TURBEVILLE. I AM THE VICE PRESIDENT OF MEDICAL
15 AFFAIRS. NEXT SLIDE PLEASE.

16 AND WE ARE ABOUT TEN MONTHS INTO THIS
17 JOURNEY. WE ARE A SMALL GROUP. I HAVE TO SAY THAT
18 THE STANDARD WORKING GROUPS, OF ALL THE WORKING
19 GROUPS THAT WE ARE AFFILIATED WITH, REALLY EXCITED
20 ABOUT THIS PROJECT SIMPLY BECAUSE IT DOES HAVE A LOT
21 OF IMPACT ON CELL AND GENE THERAPIES, NOT
22 NECESSARILY JUST WHEN WE TALK ABOUT CONSENT, BUT
23 MORE IMPORTANTLY THERE'S LOT OF OF MARKETING
24 REQUIREMENTS OF PATIENTS AND EVEN THE HEALTHCARE
25 PROVIDERS THAT CERTAINLY THIS GROUP CAN GIVE US

BETH C. DRAIN, CA CSR NO. 7152

1 GUIDANCE AS WE START TO DEVELOP PROGRAMS LOOKING
2 INTO THE POSTMARKETING STAGE AS WELL.

3 SO AS I MENTIONED, THIS IS A NEW
4 DEPARTMENT. THIS WAS BASED OFF DR. MARIA MILLAN'S
5 VISION OF DEVELOPING A MEDICAL AFFAIRS AND POLICY
6 GROUP THAT COULD START DEVELOPING OUTREACH PROGRAMS,
7 RESEARCH THAT IS IN LINE WITH THE FIVE-YEAR
8 STRATEGIC PLAN. WE ARE TASKED WITH NOT ONLY
9 DEVELOPING THE ROAD MAP FOR THE ACCESS AND
10 AFFORDABILITY, AND I KNOW THAT CAME UP EARLIER.
11 I'LL TALK ABOUT THAT IN A FEW MINUTES. WE DO THAT
12 IN COORDINATION WITH ANOTHER GROUP. THAT'S THE AAWG
13 OR THE ACCESS AND AFFORDABILITY WORKING GROUP.

14 WE ARE ALSO RESPONSIBLE FOR POSTMARKETING
15 RESEARCH, OUTCOMES, REGISTRIES, REAL-WORLD EVIDENCE,
16 HEALTH ECONOMICS AND POLICY, HEOR, ETC., ALL THAT
17 HAVE TOUCHPOINTS, OF COURSE, WITH THE STANDARDS
18 WORKING GROUP.

19 WE HAVE FIVE MAJOR WORKSTREAMS THAT WE'VE
20 KICKED OFF. GEOFF ALREADY MENTIONED THE ALPHA
21 CLINICS THAT STARTED MANY YEARS AGO. AS HE
22 MENTIONED, WE'RE NOW UP TO NINE CLINICS. THIS IS A
23 GREAT OPPORTUNITY FROM A COLLABORATIVE GROUP WITH
24 THE CENTERS OF EXCELLENCE. WE HAVE MANY IDEAS ON
25 WHICH WE COULD PUT THINGS IN PLAY WITH RESPECT TO

BETH C. DRAIN, CA CSR NO. 7152

1 POSTMARKETING REGISTRIES, COLLABORATIONS. THIS GOES
2 BACK TO DR. LAWRENCE'S QUESTIONS ABOUT MAYBE
3 PROGRAMS DRIVING SOME ASSETS THROUGH THE ALPHA
4 CLINICS. SO LOTS OF IDEAS THAT I'LL TOUCH ON IN A
5 FEW MINUTES.

6 THE OTHER WORKSTREAM IS THE ACCESS AND
7 AFFORDABILITY WORKING GROUP. OF COURSE, THIS IS A
8 CROSS-FUNCTIONAL GROUP THAT HAS LOTS OF EXPERTISE
9 ALL THE WAY FROM, AND MANY OF YOU ARE ON THIS
10 COMMITTEE, FROM PATIENT ADVOCACY TO THE CLINICAL TO
11 THE OPERATIONS TO COMMUNITY. THIS IS A GROUP THAT
12 WE PROVIDE INTEL AND GIVE FEEDBACK ON PROGRAMS THAT
13 WE WANT TO PUT IN PLAY THAT HOPEFULLY WILL MEET OUR
14 FIVE-YEAR STRATEGIC PLAN.

15 STANDARDS WORKING GROUP IS UNDER MEDICAL
16 AFFAIRS. SO I AM LOOKING TO THIS GROUP TO GIVE US
17 INSIGHT. THERE ARE SOME TOUGH QUESTIONS THAT ARE
18 OUT THERE. THE WHOLE FIELD OF CELL AND GENE
19 THERAPY, AS MANY OF YOU KNOW, PARTICULARLY ON THE
20 GENE THERAPY SIDE, IS DEVELOPING, SORT OF THE
21 BUILDING THE SHIP AS YOU SAIL IT, SO TO SPEAK. WE
22 ARE LEARNING ABOUT THINGS THAT WE CAN BRING TO THE
23 COMMITTEES AND HOPEFULLY THE COMMITTEE, THE
24 STANDARDS WORKING GROUP, CAN GIVE US INSIGHT ON SOME
25 OF THE POTHOLES AND SOME OF THE THINGS THAT YOU GUYS

BETH C. DRAIN, CA CSR NO. 7152

1 ARE SEEING OUT THERE IN THE COMMUNITY.

2 THE OTHER IS THE COMMUNITY CARE CENTERS OF
3 EXCELLENCE. AND THIS IS A BIG INITIATIVE. MARIA
4 MILLAN JUST MENTIONED THIS. THIS IS WHERE WE'RE
5 GOING OUTBOUND TO DEVELOP ORGANIZATIONS, CLINICS, IF
6 YOU WILL, THAT WILL MEET THE DEMANDS OF THE RURAL
7 COMMUNITY. WE'LL BE ABLE TO SUPPORT THE CLINICAL
8 TRIALS IN THE CENTERS OF EXCELLENCE WITH RESPECT TO
9 CELL AND GENE THERAPY. WE ALREADY KNOW, WE'VE DONE
10 DUE DILIGENCE, YOU GUYS KNOW THIS, THAT THERE IS A
11 BIG, BIG ROADBLOCK, IF YOU WILL, WITH RESPECT TO
12 PATIENTS OUT THERE IN THE RURAL COMMUNITY WHO CANNOT
13 GET TO THE CENTERS OF EXCELLENCE.

14 SO THE IDEA IS TO TAKE ALL THIS SUBJECT
15 MATTER EXPERTISE, THE OPERATIONS, AND BRING THAT OUT
16 TO THE COMMUNITY WITH A NUMBER OF COMMUNITY CARE
17 CENTERS OF EXCELLENCE. IN FACT, THAT KICKED OFF
18 LAST YEAR. WE NOW THIS MONTH HAVE A NEW WORKING
19 SESSION THAT WILL BE HOSTED BY GEOFF IN THE
20 RIVERSIDE AREA.

21 AND THEN FINALLY, IT'S THE PATIENT SUPPORT
22 PROGRAM. THIS ISN'T ONE OF THE MAJOR PILLARS, BUT
23 IT IS ONE OF THE STRATEGIES THAT SUPPORTS ACCESS AND
24 AFFORDABILITY, AND I'LL TALK ABOUT THAT IN A FEW
25 MINUTES.

BETH C. DRAIN, CA CSR NO. 7152

1 THIS IS OUR TEAM. WE ARE A SMALL TEAM.
2 THERE'S FOUR OF US RIGHT NOW. WE EXPECT TO GROW AS
3 WE CONTINUE TO BUILD THE ORGANIZATION AND THE
4 DELIVERABLES TO MEET THE FIVE-YEAR STRATEGIC PLAN.

5 A QUICK SHOUT-OUT TO EMILY REYES AND
6 MARIVEL, WHO HAVE ONLY BEEN ON BOARD FOR LESS THAN
7 SIX MONTHS AND HAVE REALLY HELPED US, ALONG WITH
8 MARIANNE AND DOUG, TO GET US TO THE STARTING LINE TO
9 ACTUALLY KICK THIS PROGRAM OFF AND OTHER PROGRAMS IN
10 MEDICAL AFFAIRS. NEXT SLIDE.

11 ALL RIGHT. SO ONE OF THE FIVE-YEAR
12 STRATEGIC PLAN THAT'S TO DEVELOP A ROAD MAP FOR
13 ACCESS AND AFFORDABILITY. THIS IS A VERY
14 INTERESTING, INTELLECTUALLY STIMULATING, CHALLENGING
15 PROJECT. AS MANY OF YOU KNOW, MANY ORGANIZATIONS,
16 PRIVATE AS WELL AS PUBLIC, ARE TRYING TO DEVELOP A
17 ROAD MAP TO REDUCE COSTS, TO GIVE ACCESS TO
18 PATIENTS. AND WE'RE DOING THE SAME THING. SO LAST
19 MONTH WE KICKED OFF OUR ROAD MAP TO ACCESS AND
20 AFFORDABILITY. THIS IS AN EXAMPLE OF WE'RE GOING TO
21 START APPROACHING THIS ROAD MAP. THERE ARE A NUMBER
22 OF STRATEGIES THAT WE PRESENTED TO THE AAWG THAT
23 GAVE US THE BLESSING TO MOVE FORWARD. UNDER THOSE
24 STRATEGIES WE HAVE A NUMBER OF TACTICS.

25 SO YOU WILL SEE MORE OF THIS AND PROBABLY

BETH C. DRAIN, CA CSR NO. 7152

1 HAVE SEEN THIS IN THE PUBLIC DOMAIN EARLIER. WHAT
2 WE'RE GOING TO BE FOCUSING ON FOR THE NEXT SIX TO
3 SEVEN MONTHS WITH RESPECT TO ACCESS AND
4 AFFORDABILITY IS, ONE, FACILITATE REIMBURSEMENT AND
5 LIMIT PATIENT EXPENSES. THAT IS A STRATEGY THAT
6 WE'RE GOING TO CONCENTRATE ON. WE HAVE OUR DUE
7 DILIGENCE ON THE RESEARCH SIDE. THIS IS WHERE THE
8 PATIENT SUPPORT SERVICES SITS TO GET ACCESS TO
9 PATIENTS, AND I'LL TALK ABOUT THAT IN A FEW MINUTES.
10 BUT WE ALSO HAVE A NUMBER OF STRATEGIES THAT WE'RE
11 GOING TO BE PRESENTING TO THE AAWG ON A MONTHLY
12 BASIS TO GIVE THEM A HEADS-UP IN TERMS OF WHAT WE
13 LEARNED AND TO GET THEIR GUIDANCE ON WHETHER TO
14 INCLUDE THAT IN OUR FINAL ROAD MAP TO THE ICOC.

15 AND IF YOU CAN IMAGINE WHAT WE'LL BE DOING
16 FOR THE NEXT SIX MONTHS IS PRESENTING TO THE AAWG
17 EACH ONE OF THESE STRATEGIES AND POTENTIAL TACTICS
18 TO GET THEIR FEEDBACK AND INPUT.

19 SO ANOTHER STRATEGY WOULD BE TO SUPPORT
20 NEW PAYER MODELS. MANY OF YOU HAVE PROBABLY WORKED
21 IN GENE THERAPY OR FAMILIAR, FOR EXAMPLE, WITH THE
22 VALUE-BASED CONTRACTS, WHICH ARE REALLY POPULAR
23 RIGHT NOW. WE ARE NOW LEARNING ABOUT THESE.
24 PRIVATE PAYERS ARE LEARNING ABOUT THESE. PUBLIC
25 PAYERS ARE LEARNING ABOUT THESE. SO IT'S OUR

BETH C. DRAIN, CA CSR NO. 7152

1 OPPORTUNITY TO UNDERSTAND WHAT THEY MEAN, HOW THEY
2 IMPACT CALIFORNIANS, HOW THEY IMPACT OUR PAYER, AND
3 HOW THEY IMPACT MEDICARE AND MEDICAID. AND SO WE'LL
4 BE ABLE TO BRING SOME OF THAT INFORMATION BACK TO
5 THE AAWG AND GIVE US GUIDANCE ON WHERE WE MIGHT BE
6 ABLE TO MAKE AN IMPACT.

7 INTERESTING ENOUGH, MANY OF YOU MAY BE
8 AWARE OF THIS, THAT ON THE EAST COAST FOR THE
9 PRIVATE SECTOR THERE ARE ALREADY SMALL PAYERS THAT
10 ARE STARTING TO COLLABORATE. THESE ARE CALLED RISK
11 POOLS IN PREPARATION FOR THAT HIGH-END FRONT COST
12 WHEN IT COMES TO THE GENE THERAPY.

13 INTERESTING ALSO ENOUGH, WHEN WE THINK
14 ABOUT ACCESS TO CLINICAL TRIALS, THAT ROAD MAP
15 CHANGES WITH RESPECT TO COMMERCIAL THERAPY. WHO IS
16 THE UNMET NEED? WHO IS NOT GETTING ACCESS TO DRUGS?
17 WHO'S GETTING ACCESS TO DRUGS QUICKER THAN OTHERS?
18 THOSE ARE TWO SORT OF DIFFERENT LANDSCAPES, BUT
19 WE'LL BE APPROACHING BOTH OF THOSE WITH POTENTIAL
20 SOLUTIONS AND OPPORTUNITIES TO THE AAWG AS WELL AS
21 THE ICOC.

22 ANOTHER STRATEGY THAT MANY OF YOU CAN
23 PROVIDE GUIDANCE ON IS THE STATE POLICY ISSUES.
24 SENATOR TORRES HAS ALREADY GOT US IN CONTACT WITH
25 THE GOVERNOR'S OFFICE OF HEALTHCARE AND

BETH C. DRAIN, CA CSR NO. 7152

1 AFFORDABILITY. IT'S A GREAT OPPORTUNITY FOR US TO
2 DRIVE OUR ROAD MAP, HOPEFULLY ALIGNS WITH AND HAS
3 SYNERGY WITH GOVERNMENT INITIATIVES IN TERMS OF
4 HEALTHCARE AND AFFORDABILITY FOR THE STATE OF
5 CALIFORNIA.

6 AND THEN FINALLY, WE WANT TO TALK ABOUT
7 EXPAND THE CLINICAL INFRASTRUCTURE. SO THIS IS
8 PIGGYBACKING OFF ALL THE GREAT WORK CIRM HAS DONE
9 WITH RESPECT TO THE ALPHA CLINICS. DR. LAWRENCE,
10 YOU MENTIONED EARLIER AN OPPORTUNITY. GEOFF
11 MENTIONED HOW ROBUST THIS SYSTEM IS, THIS
12 COLLABORATIVE GROUP. THERE'S A LOT OF UNTAPPED
13 OPPORTUNITIES WITHIN THAT THAT WE MIGHT BE ABLE TO
14 PRESENT TO THE ICOC IN TERMS OF EXPANDING ACCESS AND
15 AFFORDABILITY, MORE IMPORTANTLY, ALSO ON THE
16 RESEARCH SIDE. YOU THINK ABOUT PATIENT REGISTRIES
17 WHICH ARE ABSOLUTELY CRITICAL FOR CELL AND GENE
18 THERAPIES. WHEN WE THINK ABOUT CONSENT, FOR
19 EXAMPLE, NO LONGER ARE WE JUST THINKING ABOUT
20 CONSENT JUST FOR A PATIENT THAT'S ENROLLING INTO THE
21 TRIAL, BUT VALUE-BASED CONTRACTS ARE VERY LONG.
22 MEANING THERE'S EXPECTATIONS THAT THESE PATIENTS
23 STAY IN THESE POSTMARKETING STUDIES FOR AN EXTENSIVE
24 TIME. AND THAT'S IMPORTANT NOT ONLY FOR THE PAYERS,
25 BUT ALSO FOR THE MANUFACTURERS. SO WE NEED TO HAVE

BETH C. DRAIN, CA CSR NO. 7152

1 VALIDATED SYSTEMS WHERE WE CAN HELP COLLECT THAT
2 INFORMATION AND HELP FACILITATE THAT FOR THE
3 PATIENTS. SO THAT'S ANOTHER OPPORTUNITY.

4 LOTS OF IDEAS THAT WILL BE PRESENTED TO
5 THE AAWG MOVING FORWARD, BUT I JUST WANT TO GIVE YOU
6 A SNAPSHOT OF WHAT THAT ROAD MAP TO ACCESS AND
7 AFFORDABILITY WOULD LOOK LIKE. NEXT SLIDE PLEASE.

8 NOW, WE KNOW THERE ARE MANY BARRIERS TO
9 OVERCOMING AND TO ACHIEVING BROAD EQUITABLE ACCESS
10 TO REGENERATIVE MEDICINE. MANY OF YOU HAVE SEEN
11 THIS SLIDE BEFORE. WE HAVE DONE THE DUE DILIGENCE.
12 THE LITERATURE AND THOUGHT LEADERS AND SUBJECT
13 MATTER EXPERTS HAVE GIVEN US GUIDANCE. IF YOU DID
14 DO A LITERATURE SEARCH, YOU WOULD FIND, CONSISTENT
15 WITH WHAT WE REPORTED, THAT THERE'S CULTURAL AND
16 SOCIAL DETERMINANTS, THERE'S INFORMATIONAL, THERE'S
17 LOGISTICAL HURDLES, FINANCIAL, AND ABILITY
18 BASED-HURDLES.

19 WHAT WE WANTED TO FOCUS WITH RIGHT OUT OF
20 THE GATE WITH RESPECT TO A PATIENT SUPPORT PROGRAM
21 IS IMPACT THE INFORMATIONAL, LOGISTICAL, AND
22 FINANCIAL BARRIERS. THAT LEADS US INTO ONE OF OUR
23 FIRST INITIATIVES, WHICH IS THE NEXT SLIDE. AND
24 THIS IS CIRM'S PATIENT SUPPORT PROGRAM. SO AS I
25 MENTIONED, AND MANY OF YOU ALREADY KNOW THIS, CELL

BETH C. DRAIN, CA CSR NO. 7152

1 AND GENE THERAPY TRIALS ARE VERY DEMANDING ON
2 PATIENTS AND THE HEALTHCARE PROVIDERS. AND THEY DO
3 REQUIRE A LOT OF SUPPORT INTERNALLY, EXTERNALLY,
4 FAMILY MEMBERS, THE PATIENTS, ET CETERA.

5 INTERESTING ENOUGH, IN THE LAST YEAR
6 THERE'S BEEN PATIENT ASSISTANCE PROGRAMS THAT HAVE
7 EMERGED TO ADDRESS THE BOTTLENECKS, WHETHER THEY'RE
8 FINANCIAL, LOGISTICAL, ET CETERA, FOR PATIENTS THAT
9 ARE INVOLVED IN THESE TRIALS. SO THE OBJECTIVE OF
10 OUR PATIENT SUPPORT PROGRAM, WHICH WAS RECENTLY
11 APPROVED BY THE AAWG AND THE ICOC, IS TO PROVIDE
12 LOGISTICAL AND FINANCIAL SUPPORT TO PATIENTS SEEKING
13 TO ENROLL IN OUR OTHER CLINICAL TRIALS WITH THE
14 SPECIFIC AIM OF IMPROVING ACCESS, RETENTION IN
15 CLINICAL TRIALS WITH AN EMPHASIS IN THE UNDERSERVED
16 POPULATIONS. AND THIS PATIENT SUPPORT SERVICES IF
17 YOU THINK ABOUT IT AS A HUB THAT HAS LOTS OF
18 TOUCHPOINTS, NOT ONLY WITH THE ALPHA CLINICS, BUT
19 POTENTIALLY ALSO WITH THE COMMUNITY CARE CENTERS OF
20 EXCELLENCE.

21 SO THAT IS JUST ONE COMPONENT WITHIN THE
22 LAST TEN MONTHS THAT WE'RE GETTING READY TO FLIP THE
23 SWITCH. WE HAVE A LOT OF IDEAS WE WANT TO PRESENT
24 AND EVEN BOUNCE OFF THIS GROUP THAT WILL HELP US PUT
25 THINGS IN PLAY AND BE SUCCESSFUL. SO THIS IS JUST

BETH C. DRAIN, CA CSR NO. 7152

1 ONE COMPONENT OF THE FIVE-YEAR STRATEGIC PLAN FOR
2 THE ROAD MAP FOR ACCESS AND AFFORDABILITY.

3 AND WITH THAT, I WILL PAUSE AND SAY THANK
4 YOU. AND I DO WANT TO THANK GEOFF FOR PUTTING THIS
5 TOGETHER. THIS IS CERTAINLY HIS SWEET SPOT, AND
6 HE'S BEEN DRIVEN TO GET THIS TO THE FINISH LINE OR
7 AT LEAST THE STARTING LINE IN A SHORT AMOUNT OF
8 TIME. SO I WANT TO THANK HIM AND THE REST OF THE
9 MEDICAL AFFAIRS TEAM FOR PUTTING THIS IN PLAY.
10 THANK YOU.

11 CO-CHAIRMAN KAHN: THANK YOU, SEAN. ANY
12 QUESTIONS FROM THE -- I DON'T SEE ANY HANDS. DO
13 YOU, GEOFF?

14 DR. LOMAX: I AM NOT SEEING HANDS AT THIS
15 POINT. WE ARE COMING RIGHT UP ON THE TOP OF THE
16 HOUR. SO MAYBE JUST GIVE EVERYONE THEIR BREAK, AND
17 THEN WE'LL GET BACK INTO THE SESSION ON CONSENT
18 CONSIDERATIONS WHICH IS FAIRLY EXTENSIVE.

19 CO-CHAIRMAN KAHN: SOUNDS GOOD. ALL
20 RIGHT. THANKS, EVERYONE, FOR HANGING IN FOR THOSE
21 FIRST TWO HOURS. WE HAVE A 30-MINUTE BREAK IS WHAT
22 WE'RE DOING, GEOFF?

23 DR. LOMAX: CORRECT. 11:30 PACIFIC AND
24 ADD HOURS ACCORDINGLY IF YOU'RE EAST OF US.

25 CO-CHAIRMAN KAHN: SOUNDS GOOD. WE'LL SEE

BETH C. DRAIN, CA CSR NO. 7152

1 EVERYBODY IN A HALF HOUR.

2 (A RECESS WAS TAKEN.)

3 CO-CHAIRMAN KAHN: I MISSED THAT. I
4 WASN'T CONNECTED. WHAT DID YOU JUST SAY?

5 DR. LOMAX: JUST SAYING SEEMS LIKE THE
6 PEOPLE ENTERING HAVE SETTLED DOWN. WOULD YOU LIKE
7 TO RECONVENE?

8 CO-CHAIRMAN KAHN: YEAH. SEEMS LIKE WE'RE
9 ALL BACK. THANK YOU ALL FOR COMING BACK.

10 DR. LOMAX: SO THIS SEGMENT OF THE MEETING
11 WE REALLY WANT TO HEAR FROM THE INVESTIGATORS.
12 THEY'RE THE ONES THAT, I THINK, HAVE SOME OF THE
13 MOST DIRECT INTERACTIONS WITH PATIENTS AND ARE
14 DEALING WITH SOME OF THESE TREATMENTS. SO I WOULD
15 LIKE TO TURN IT OVER TO DR. SHARMA WHO, BY THE WAY,
16 I WOULD NOT AT ALL DESCRIBE YOU AS AN ARMCHAIR
17 ETHICIST. I THINK FROM YOUR VANTAGE POINT YOU SIT
18 IN A ROYAL CHAIR, AND SO I'M REALLY LOOKING FORWARD
19 TO HEARING YOUR DISCUSSION OF THE ISSUES FROM YOUR
20 PERSPECTIVE.

21 DR. SHARMA: THANK YOU, GEOFF. THAT'S
22 VERY KIND OF YOU. ALLOW ME TO SHARE MY SCREEN. AND
23 CAN SOMEBODY CONFIRM THAT YOU ARE SEEING THE CORRECT
24 SCREEN?

25 DR. LOMAX: LOOKS RIGHT.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. SHARMA: SO I'M REALLY PRIVILEGED AND
2 HONORED TO PART OF THIS GROUP AND TO BE ABLE TO
3 PRESENT TO ALL OF YOU TODAY SOME OF OUR WORK AND
4 SOME OF OUR THOUGHTS. AND I SORT OF MISCHIEVOUSLY
5 CALLED THIS PRESENTATION "HOPE, HYPE, AND CURE: THE
6 PROMISE AND PERILS OF GENETIC THERAPIES." AND YOU
7 WILL SEE HOW THESE THREE FOUR-LETTER WORDS ARE
8 INTRICATELY RELATED TO GENETIC THERAPIES AND ARE
9 OFTEN CONFUSED BY EVERYBODY, INCLUDING CLINICIANS
10 AND SCIENTISTS.

11 THESE ARE LIKE CONFLICTS OF INTEREST AND
12 DISCLOSURES. I PARTICIPATE AS A PI ON SEVERAL
13 CLINICAL TRIALS THAT ARE CONDUCTING GENE EDITING FOR
14 SICKLE CELL DISEASE, AND I'M A CONSULTANT TO MANY OF
15 THESE COMPANIES. NONE OF THIS WORK IS RELATED TO
16 WHAT I'M PRESENTING TODAY. THOUGH I RARELY USE THE
17 TERM "GENE THERAPY" TO ENCOMPASS GENE EDITING IN
18 THIS TALK, AND I KNOW SOME FOLKS TAKE OFFENSE TO
19 THAT, SO I APOLOGIZE IN ADVANCE, BUT FOR THIS TALK I
20 DON'T THINK THAT'S VERY RELEVANT.

21 SO ONCE AGAIN, I'LL TALK ABOUT HOPE, HYPE,
22 AND CURE AND HOW WE'VE ALL MISUSED AND CONFUSED
23 THESE THREE TERMS. BEFORE THAT, I WANT TO BRIEFLY
24 HIGHLIGHT WHAT THE PROBLEM IS. AND SO ONLY 5
25 PERCENT OF THE 7,000 KNOWN RARE DISEASES HAVE AN

BETH C. DRAIN, CA CSR NO. 7152

1 APPROVED TREATMENT, AND HALF OF THESE RARE DISEASES
2 ARE GENETIC IN ORIGIN. THEY PREDOMINANTLY AFFECT
3 INFANTS AND CHILDREN. AND THESE DISEASES, THEY LEAD
4 TO SIGNIFICANT ILLNESS AND EARLY DEATH IN THE
5 INDIVIDUALS THAT THEY AFFECT. BECAUSE OF THESE,
6 EVEN THOUGH THESE GENETIC DISEASES MAY OCCUR IN A
7 VERY SMALL NUMBER OF POPULATION OF PATIENTS,
8 COLLECTIVELY THEY ARE AFFECTING APPROXIMATELY 400
9 MILLION PEOPLE WORLDWIDE. AND THIS MAKES THESE RARE
10 DISEASES, THE RARE GENETIC DISEASES, COLLECTIVELY
11 ONE OF THE MOST UNDERSERVED COMMUNITIES IN MEDICINE
12 TODAY AS THERE ARE HARDLY ANY APPROVED TREATMENTS
13 FOR THEM.

14 AND SO THE FEW TREATMENTS THAT ARE
15 CURRENTLY AVAILABLE, THEY TYPICALLY FOCUS ON DISEASE
16 SYMPTOMS, AND THEY ARE UNABLE TO TAKE CARE OF THE
17 UNDERLYING GENETIC CAUSE OF THE DISEASE. SO HEREIN
18 LIES THE HOPE, WHICH IS AN EXPECTATION OF
19 FULFILLMENT OF SUCCESS OR TO WANT SOMETHING TO
20 HAPPEN. AND SO THE HOPE IS THAT THERE IS A
21 POTENTIAL SOLUTION FOR THESE GENETIC DISEASES. AND
22 I PUT POTENTIAL IN SORT OF APOSTROPHE COMMAS OVER
23 HERE, WHICH YOU'LL UNDERSTAND WHY. WITH A BETTER
24 UNDERSTANDING OF MODERN HUMAN GENETICS AND THE
25 DEVELOPMENT OF NOVEL GENE MANIPULATION TECHNOLOGIES,

BETH C. DRAIN, CA CSR NO. 7152

1 CRISPR CAS-9, ZINC FINGER NUCLEASES, GENETIC
2 THERAPIES, THESE ARE THE NEXT FRONTIER IN OUR
3 THERAPEUTIC DEVELOPMENT.

4 AND SO WITH ALL THESE ADVANCES IN SCIENCE,
5 THERE IS A POTENTIAL THAT WE COULD ADDRESS THE
6 UNDERLYING BIOLOGY OF MANY OF THESE GENETIC
7 DISEASES. WE COULD REDUCE THE ONGOING NEED FOR
8 TREATMENT FOR SYMPTOM CONTROL AND REDUCE THE BURDEN
9 ON THE PATIENTS AS WELL AS THE HEALTHCARE SYSTEM BY
10 USING THESE ADVANCES IN MODERN GENETICS AND GENE
11 MANIPULATION TECHNIQUES.

12 AND INDEED OVER THE LAST TWO DECADES, WE
13 HAVE SEEN A NUMBER OF MEDICATIONS WHICH HAVE
14 UTILIZED THESE GENETIC TECHNIQUES, IMPROVED OUR
15 UNDERSTANDING OF GENETICS OF THESE DISEASES WHICH
16 HAVE LED TO THE DEVELOPMENT OF POTENTIALLY CURATIVE
17 THERAPIES FOR A NUMBER OF THESE GENETIC DISEASES.

18 AND THIS IS WHERE THE HYPE COMES IN, WHICH
19 IS PROMOTIONAL PUBLICITY OF AN EXTRAVAGANT KIND OR A
20 CONTRIVED KIND. AND SO IN NOVEMBER OF 2021,
21 *SCIENTIFIC AMERICAN* HAD THIS HEADLINE OF FOUR
22 SUCCESS STORIES IN GENE THERAPY. THE FIELD IS
23 BEGINNING TO FULFILL ITS POTENTIAL. AND THEN THE
24 *NEW YORKER* SAID ARE WE ABOUT TO CURE SICKLE CELL
25 DISEASE? AND WHEN THE *NEW YORKER* SAID SOMETHING,

BETH C. DRAIN, CA CSR NO. 7152

1 THE *NEW YORK TIMES* COULDN'T STAY BEHIND. SO THEY
2 OBVIOUSLY CAME FORWARD AND HAD THIS HEADLINE TALKING
3 ABOUT "PIONEERING GENE THERAPY FREED HER OF SICKLE
4 CELL DISEASE. IS A CURE AT HAND?" AND USED WORDS
5 LIKE "EXTRAORDINARILY PROMISING," "CLINICAL TRIAL
6 RESULTS HERE."

7 AND NOT JUST THESE LAY MEDIA JOURNALS, BUT
8 ALSO AN ACADEMIC JOURNAL, WHICH IS THE OFFICIAL
9 JOURNAL OF THE ROYAL PHARMACEUTICAL SOCIETY, THE
10 "PHARMACEUTICAL JOURNAL," THEY HAD THIS EDITORIAL
11 TALKING ABOUT "GENE THERAPY: FROM CATASTROPHE TO
12 CURE IN 20 YEARS." AND THEN ANOTHER JOURNAL,
13 "E-BIOMEDICINE," WHICH IS PROUDLY PUBLISHED BY THE
14 LANCET GROUP, THEY CALL GENE THERAPY THE ULTIMATE
15 CURE FOR HEREDITARY DISEASES. SO I THINK YOU WILL
16 UNDERSTAND WHERE I'M GOING WITH ALL THIS.

17 ALL THESE HYPERBOLIC STATEMENTS IN LAY
18 MEDIA OR IN ACADEMIC JOURNALS SIMPLY HYPE UP THE
19 CURATIVE POTENTIAL OF THESE NOVEL MODALITIES. AND
20 SO WHAT EXACTLY IS A CURE? SO WHEN I LOOK AT THE
21 DICTIONARY, A CURE IS A COMPLETE OR A PERMANENT
22 SOLUTION OR REMEDY TO RESTORE HEALTH, SOUNDNESS, OR
23 NORMALITY AND TO RELIEVE A PERSON OF THE SYMPTOMS OF
24 DISEASE OR A CONDITION.

25 AND SO THESE ARE THE WORDS WHICH I FIND

BETH C. DRAIN, CA CSR NO. 7152

1 ARE IMPORTANT WHEN YOU'RE DEFINING A CURE. IT HAS
2 TO BE A PERMANENT SOLUTION WHICH RESTORES COMPLETE
3 HEALTH AND NORMALITY TO THIS PERSON OR PATIENT. AND
4 WE'LL EVALUATE WHETHER THESE GENETIC THERAPIES, IF
5 YOU'RE TALKING ABOUT AS CURATIVE, ARE ACTUALLY
6 ACHIEVING THAT CURATIVE POTENTIAL OR NOT.

7 AND SO I AM A HEMATOLOGIST. I TAKE CARE
8 OF CHILDREN WITH BLOOD DISORDERS. AND SO SICKLE
9 CELL DISEASE IS ONE OF THOSE DISEASES WHICH I HAVE A
10 LOT OF INTEREST IN, AND IT REMAINS THE FOCUS OF MY
11 CLINICAL AND RESEARCH ENDEAVORS. SO I WANT TO TAKE
12 EXAMPLE OF SICKLE CELL DISEASE AND WALK YOU THROUGH
13 HOW THESE TREATMENTS HAVE BEEN DEVELOPED AND ARE
14 THEY REALLY CURATIVE IN POTENTIAL. AND SO THIS WILL
15 BE PROBABLY MY ONLY SLIDE TALKING ABOUT GENETICS.

16 SICKLE CELL DISEASE, IT'S CAUSED DUE TO A
17 SINGLE POINT MUTATION IN THE HBB GENE, WHICH IS THE
18 BETA-GLOBIN GENE, AND IT'S A SINGLE BASE REPLACEMENT
19 FROM NORMAL GAG TO A GTG. AND WHAT THAT DOES IS IN
20 THE PROTEIN SEQUENCE, INSTEAD OF GLUTAMIC ACID,
21 THERE'S A VALINE PRESENT AT THE 6TH POSITION. AND
22 NORMALLY HEMOGLOBIN MOLECULES ARE SUPPOSED TO BE
23 TETRAMERS. THERE ARE FOUR PARTS WHICH COMBINE
24 TOGETHER TO FORM THE HEMOGLOBIN MOLECULE. AND THEY
25 ARE INSIDE ALL OF THE RED BLOOD CELLS WHICH ARE IN

BETH C. DRAIN, CA CSR NO. 7152

1 OUR BLOOD. AND WHEN YOU HAVE A VALINE INSTEAD OF A
2 GLUTAMIC ACID BECAUSE OF THIS MUTATION, THESE
3 MOLECULES, THEY FORM LONG POLYMERS OR CHAINS.

4 AND THESE CHAINS ARE RESPONSIBLE FOR THE C
5 SHAPE OR THE SICKLE SHAPE OF THE RED BLOOD CELLS.
6 THESE RED BLOOD CELLS ARE HARD, THEY'RE STIFF, THEY
7 GET STUCK IN BLOOD VESSELS. SO WHEREVER THEY GET
8 STUCK IN THE DIFFERENT PARTS OF THE BODY, THEY CAUSE
9 A PROBLEM. AND SO IF THEY GET STUCK IN THE BONES OR
10 THE MUSCLES, THEY CAUSE BONE PAIN. IF THEY GET
11 STUCK IN THE BRAIN, THEY CAN CAUSE STROKE. IF THEY
12 GET STUCK IN THE CHEST, IN THE LUNGS, THEY CAUSE
13 ACUTE CHEST SYNDROME AND SO FORTH AND SO ON. EVEN
14 THOUGH IT'S A BLOOD DISORDER, SICKLE CELL DISEASE
15 INDEED AFFECTS EVERY SINGLE ORGAN OF THE BODY.

16 NOT JUST THAT, BUT THE SYMPTOMS OF SICKLE
17 CELL DISEASE, THEY PROGRESS WITH AGE. SO IN YOUNGER
18 CHILDREN WE SEE SPLENIC SEQUESTRATION, STROKE. AS
19 THE CHILDREN GET OLDER, THEY HAVE BONE DEATH, KIDNEY
20 DAMAGE, COGNITIVE DYSFUNCTION. AND IN ADULTHOOD
21 PATIENTS OFTEN DEVELOP BLOOD, LUNG, AND HEART
22 PROBLEMS, ALL OF WHICH LEADS TO AN EARLY DEATH. AND
23 MANY OF THESE THINGS THAT I HAVE HIGHLIGHTED IN RED
24 OVER HERE ARE IRREVERSIBLE. ONCE PATIENTS DEVELOP
25 THESE COMPLICATIONS, THERE IS NO GOING BACK. YOU

BETH C. DRAIN, CA CSR NO. 7152

1 CANNOT FIX THEM. YOU CANNOT REVERT BACK TO NORMAL.

2 I ALSO WANT TO HIGHLIGHT THAT SICKLE CELL
3 DISEASE DISPROPORTIONATELY AFFECTS INDIVIDUALS IN
4 LOW AND MIDDLE INCOME COUNTRIES. THIS CARTOGRAM
5 OVER HERE, I LIKE IT VERY MUCH. IT INFLATES THE
6 SIZES OF THE DIFFERENT COUNTRIES BASED ON THE NUMBER
7 OF NEWBORNS WITH SICKLE CELL DISEASE THAT THEY HAVE
8 BORN EVERY YEAR. AND AS YOU CAN SEE, OUT OF THE
9 300,000 NEWBORNS BORN WITH SICKLE CELL DISEASE EVERY
10 YEAR, THE MAJORITY ARE BORN IN NIGERIA AND INDIA AND
11 THE REST OF THE SUB-SAHARAN AFRICA WHICH BEAR THE
12 MAXIMUM BRUNT OF THESE DISEASES. AND THIS BECOMES
13 IMPORTANT, AS I'LL TALK ABOUT IN THE LATER,
14 COMPARING WHERE ALL THE CLINICAL TRIALS OF THESE
15 DISEASES ARE BEING RUN CURRENTLY.

16 SO A CURE FOR SICKLE CELL DISEASE, IN MY
17 OPINION, WOULD BE A PERMANENT SOLUTION WHICH
18 RESTORES HEALTH AND NORMALITY, RELIEVING THE
19 INDIVIDUAL OF ALL SYMPTOMS OF SICKLE CELL DISEASE
20 AND ALSO TO BE ABLE TO GIVE EQUITABLE CONCERN AND
21 ATTENTION TO SICKLE CELL DISEASE ALL OVER THE WORLD.
22 SO THAT WOULD BE MY INTERPRETATION OF WHAT I WOULD
23 CONSIDER A CURE FOR SICKLE CELL DISEASE TO BE.

24 WHEN YOU LOOK AT HAVE WE BEEN ACHIEVING
25 THAT OBJECTIVE, PROBABLY NOT. HERE I'M LISTING THE

BETH C. DRAIN, CA CSR NO. 7152

1 VARIOUS TREATMENTS WHICH ARE CURRENTLY AVAILABLE FOR
2 SICKLE CELL DISEASE AND WHEN THEY WERE APPROVED.
3 UNTIL FIVE YEARS AGO, THERE WAS ONLY ONE MEDICATION
4 THAT WAS AVAILABLE FOR TREATMENT OF PATIENTS WITH
5 SICKLE CELL DISEASE. OVER THE LAST FIVE YEARS,
6 WHILE WE HAVE DEVELOPED MANY NEW MEDICATIONS, NONE
7 OF THESE ARE CURATIVE. THESE MEDICATIONS HAVE TO BE
8 TAKEN ON A REGULAR BASIS. THEY ONLY RELIEVE THE
9 SYMPTOMS OF THE DISEASE, JUST LIKE I MENTIONED IN MY
10 VERY FIRST SLIDE, AND THEY DON'T OFFER A PERMANENT
11 AND LASTING CURE TO THESE INDIVIDUALS.

12 OF COURSE, BONE MARROW TRANSPLANT, WHICH
13 WE HAVE KNOWN ABOUT THIS SINCE 1983, IT IS A
14 POTENTIALLY CURATIVE TREATMENT, BUT YOU NEED TO FIND
15 A DONOR, A WELL-MATCHED DONOR, TO PERFORM A BONE
16 MARROW TRANSPLANT. AND THOSE DONORS ARE
17 UNFORTUNATELY AVAILABLE FOR LESS THAN ONE-FIFTH OF
18 ALL THE INDIVIDUALS WITH SICKLE CELL DISEASE WHO
19 NEED A TRANSPLANT.

20 AND THEN HOPEFULLY GENE THERAPY MAY BE
21 APPROVED EARLY THIS YEAR. I SHOULD PROBABLY UPDATE
22 THIS SLIDE. I SHOULD CALL IT 2023. WE WERE HOPING
23 THAT WE WILL GET APPROVAL BY LATE LAST YEAR, BUT IT
24 DID NOT HAPPEN. HOPEFULLY GENE THERAPY WILL BE
25 APPROVED IN EARLY 2023 BECAUSE THERE ARE MANY OF

BETH C. DRAIN, CA CSR NO. 7152

1 THESE GENE THERAPIES WHICH ARE CURRENTLY IN
2 DEVELOPMENT. SO ON THIS SLIDE I AM LISTING THE
3 DIFFERENT METHODS BY WHICH WE CAN DO GENE THERAPY
4 AND ALL THE DIFFERENT ACADEMIC CENTERS AND INDUSTRY,
5 PHARMACEUTICAL COMPANIES THAT ARE PARTICIPATING IN
6 DEVELOPING GENETIC THERAPIES. SO THIS IS A VERY
7 RAPIDLY EVOLVING FIELD, AND THERE ARE A NUMBER OF
8 COMPETITORS IN THIS FIELD. AND SO DEFINITELY THERE
9 IS HOPE THAT WE WILL HAVE A POTENTIALLY CURATIVE
10 THERAPY, HOPEFULLY MORE THAN ONE POTENTIALLY
11 CURATIVE THERAPY SOON.

12 BUT THERE ARE -- THIS IS JUST NOT A PIPE
13 DREAM. MANY OF THESE HAVE ACTUALLY BEEN ALREADY
14 DONE. SO THERE ARE A NUMBER OF CASE REPORTS WHICH
15 HAVE USED MANY DIFFERENT APPROACHES, CAS-9,
16 LENTIVIRAL GENE ADDITION, SHRNA, WHICH HAVE ALREADY
17 SHOWN PROMISE IN PATIENTS THAT HAVE RECEIVED THESE
18 THERAPIES. SO WHEN I THINK OF THESE PATIENTS THAT
19 HAVE ACTUALLY RECEIVED THESE GENETIC THERAPIES, A
20 QUESTION COMES TO MY MIND. ARE THESE PATIENTS
21 REALLY CURED, AGAIN IN INVERTED COMMAS. THESE
22 PATIENTS CERTAINLY DON'T HAVE ANY SICKLE CELL
23 ASSOCIATED PAIN CRISES AFTER THEY RECEIVED GENETIC
24 THERAPIES. AND MOST OF THESE PATIENTS ARE ABLE TO
25 DISCONTINUE EITHER TRANSFUSIONS OR OTHER MEDICATIONS

BETH C. DRAIN, CA CSR NO. 7152

1 THAT THEY HAVE BEEN RECEIVING.

2 BUT I MENTIONED ALL THESE IRREVERSIBLE
3 THINGS THAT PATIENTS CAN HAVE BECAUSE OF SICKLE CELL
4 DISEASE, AND THESE DO NOT GO AWAY AFTER THESE
5 PATIENTS HAVE UNDERGONE GENETIC THERAPIES.

6 SO MANY PATIENTS STILL NEED TO UNDERGO
7 MULTIPLE ADDITIONAL PROCEDURES FOR MEDICAL
8 MANAGEMENT OF THESE COMPLICATIONS THAT EITHER THEIR
9 DISEASE CAUSED UP FRONT OR THE TREATMENT FOR THE
10 DISEASE, WHICH IN THIS CASE GENE THERAPY CAUSED FOR
11 THEM DURING THE COURSE OF THEIR TREATMENT. SO THESE
12 PATIENTS HAVE TO KEEP UNDERGOING THAT TREATMENT.

13 I LIKE TO TELL MY PATIENTS, WHENEVER I'M
14 TALKING TO THEM, THAT IF THEIR BODY IS LIKE A WALL,
15 SICKLE CELL DISEASE IS LIKE A HAMMER. THE DISEASE
16 KEEPS CHIPPING ON THEIR DISEASE EVERY SINGLE DAY OF
17 THEIR LIFE UNTIL GENE THERAPY TAKES THE HAMMER AWAY,
18 BUT GENE THERAPY DOESN'T REPAIR THE WALL ANY
19 FURTHER. SO THE DAMAGE THAT'S BEEN DONE IS DONE.
20 AND MANY OF THESE PATIENTS CONTINUE TO LIVE WITH
21 THAT DAMAGE FOR THE REST OF THEIR LIVES.

22 SO I'M GOING TO SHARE TWO REPRESENTATIVE
23 CASES, WHICH ARE VERY SIMILAR TO CASES THAT I'VE
24 TAKEN CARE OF. I HAD THIS 21-YEAR-OLD BOY WITH
25 SICKLE CELL DISEASE WHO WAS RECEIVING BLOOD

BETH C. DRAIN, CA CSR NO. 7152

1 TRANSFUSION THERAPY FOR PAIN CRISES. AND THIS YOUNG
2 MAN, HE NOW STARTED DEVELOPING RED BLOOD CELL
3 ANTIBODIES SO COULD NOT GET BLOOD TRANSFUSIONS
4 ANYMORE SO UNDERWENT GENE THERAPY ABOUT A YEAR AGO.
5 AND SINCE HIS GENE THERAPY HAS NOT REQUIRED ANY MORE
6 TRANSFUSIONS, DOES NOT HAVE SIGNIFICANT ACUTE PAIN
7 ANYMORE, BUT HE CONTINUES TO HAVE CHRONIC PAIN,
8 WHICH IS A KNOWN COMPLICATION OF SICKLE CELL DISEASE
9 AND STILL REQUIRES OPIOID MEDICATIONS ALMOST ON A
10 DAILY BASIS. HE STILL COMES AND SEES ME AND OUR
11 PAIN MEDICINE PROVIDERS ON A VERY REGULAR BASIS.

12 THE SECOND CASE IS A 20-YEAR-OLD BOY WHO
13 HAD A STROKE WHEN HE WAS A YOUNG CHILD. AGAIN,
14 BECAUSE OF THE HISTORY OF STROKE, HE WAS RECEIVING
15 BLOOD TRANSFUSIONS FOR STROKE PREVENTION. HE
16 UNDERWENT GENE THERAPY ABOUT NINE MONTHS AGO AND NOW
17 HAS NORMAL HEMOGLOBIN, DOES NOT NEED TRANSFUSIONS.
18 BUT IS HE CURED? ARE THESE TWO PATIENTS THAT I JUST
19 LISTED HERE, WILL THEY EVER BE CURED? IF THEY KEEP
20 REQUIRING TREATMENTS, AND IF YOU GO BACK TO THE
21 DEFINITION, WE SAID THAT CURE IS COMPLETE NORMALCY.
22 ARE THESE CURED?

23 AND THE REASON I'M HYPING ON THIS THING SO
24 MUCH IS THE WHOLE VOCABULARY AROUND THESE
25 POTENTIALLY CURATIVE TREATMENTS, IT REVOLVES AROUND,

BETH C. DRAIN, CA CSR NO. 7152

1 WHEN WE TALK TO OUR PATIENTS, WE TELL THEM THAT,
2 HEY, IF YOU GET THIS TREATMENT, YOU WILL BE CURED.
3 BUT SOMEWHERE IN THOSE 60-, 70-, 80-PAGE CONSENT
4 DOCUMENTS, IT'S WRITTEN THAT THEIR SYMPTOMS MAY
5 CONTINUE TO EVOLVE OVER TIME. THEY MAY NOT GO AWAY
6 COMPLETELY, AND THEY MAY HAVE ADDITIONAL
7 COMPLICATIONS BECAUSE OF THEIR GENETIC THERAPIES.
8 AND SO I WANT TO TALK ABOUT WHAT ARE THE DIFFERENT
9 CHALLENGES TO THE CURE, AND WE'LL COME BACK TO THIS
10 CONSENT DOCUMENT DISCUSSION.

11 FIRST OF ALL, AS I MENTIONED, SOME OF THE
12 ADVANTAGES OF AUTOLOGOUS GENE THERAPY OVER
13 TRANSPLANTATION IS THAT NOT ALL PATIENTS HAVE A
14 DONOR. SO FOR GENE THERAPY, A PATIENT CAN SERVE AS
15 HIS OR HER OWN DONOR. AND SOME OF THE IMMUNOLOGICAL
16 COMPLICATIONS THAT ARE ASSOCIATED WITH
17 TRANSPLANTATION ARE NOT ASSOCIATED WITH GENE
18 THERAPY. SO YOU DON'T HAVE TO WORRY ABOUT GRAFT
19 VERSUS HOST DISEASE OR GRAFT REJECTION.

20 BUT AUTOLOGOUS GENE THERAPY, ESPECIALLY
21 AUTOLOGOUS GENE THERAPY THAT INVOLVES EX VIVO
22 MANIPULATION OF THE HEMATOPOIETIC STEM CELLS
23 FOLLOWED BY INFUSION, STILL REQUIRES CONDITIONING OF
24 THE PATIENTS USING CHEMOTHERAPEUTIC AGENTS. MOST
25 COMMONLY USED CHEMOTHERAPY FOR CONDITIONING IS

BETH C. DRAIN, CA CSR NO. 7152

1 BUSULFAN, WHICH WE USE FOR TREATMENT OF LEUKEMIA.
2 AND BUSULFAN EXPOSURE CAUSES TEMPORARY HAIR LOSS,
3 INFERTILITY, INCREASED RISK OF INFECTION, RISK OF
4 DEVELOPING SECONDARY CANCERS DOWN THE LINE WHEN THAT
5 RISK CAN BE PERSISTENT FOR ALMOST 15, 20 YEARS AFTER
6 THESE PATIENTS HAVE UNDERGONE GENETIC THERAPY. ALSO
7 A LOW, BUT A NON-ZERO RISK OF DYING DURING THE
8 PROCESS OF GETTING GENE THERAPY.

9 SO GENE THERAPY, EVEN THOUGH IT COULD BE
10 POTENTIALLY CURATIVE AND IT COULD POTENTIALLY TAKE
11 AWAY THE WHOLE RISK OF COMPLICATIONS FROM SICKLE
12 CELL DISEASE, THERE ARE ADDITIONAL RISKS THAT ARE
13 INVOLVED ON THESE PATIENTS DURING THE PURSUIT OF
14 THIS TREATMENT. AND THESE ARE JUST A FEW OF THEM.
15 THERE ARE MANY OTHERS THAT WE EITHER DON'T
16 ADEQUATELY TALK OR, EVEN IF WE TALK ABOUT THEM, I'M
17 NOT A HUNDRED PERCENT SURE IF THE PATIENTS ACTUALLY
18 LISTEN TO THAT BECAUSE, AS SOMEBODY MENTIONED A
19 LITTLE WHILE EARLIER, BY THE TIME WE REACH TO THE
20 CONSENT DOCUMENT, BY THE TIME WE REACH TO THAT
21 DISCUSSION, THE PATIENT AND THE PHYSICIAN HAVE
22 ALREADY MADE UP THEIR MIND. THEY ARE READY, THAT
23 THIS IS WHAT THEY'RE GOING TO DO. SO ULTIMATELY ALL
24 THAT 80-PAGE CONSENT DOCUMENT DOES THAT WE SIGN,
25 IT'S A LEGAL REQUIREMENT WHICH I DON'T KNOW SERVES

BETH C. DRAIN, CA CSR NO. 7152

1 ITS PURPOSE.

2 AND SO, AT LEAST AT OUR INSTITUTION, AND I
3 KNOW OF MANY OTHERS, WE ALL BELIEVE THAT CONSENT
4 DISCUSSIONS ARE AN ONGOING PROCESS WHERE, WHEN I
5 MEET WITH A PATIENT, IT USUALLY IS AN HOUR, HOUR AND
6 A HALF LONG DISCUSSION THAT HAPPENS PERHAPS FOUR OR
7 FIVE TIMES BEFORE WE WILL ACTUALLY SIT DOWN WITH THE
8 CONSENT DOCUMENT IN HAND. I MAY EVEN GIVE THE
9 CONSENT DOCUMENT TO THE FAMILY AND ASK THEM TO READ
10 IT AT THEIR LEISURE AT THEIR OWN TIME, BUT WE WOULD
11 NOT OPEN THE CONSENT DOCUMENT AND LOOK AT IT UNLESS
12 I HAVE HAD THESE MULTIPLE DISCUSSIONS WITH THE
13 FAMILY, THE PATIENT AND THE FAMILY BOTH. BECAUSE I
14 THINK SOME OF THESE NUANCES OF WHAT A CURE IS AND
15 HOW A PATIENT'S LIFE MAY OR MAY NOT CHANGE AFTER
16 UNDERGOING THESE REALLY COMPLICATING GENETIC
17 THERAPIES, THIS CANNOT BE CONVEYED BY JUST READING
18 THROUGH A DOCUMENT.

19 AND SO ONE OF THE CHALLENGES THAT WE HAVE
20 ALSO SEEN WITH THESE GENETIC THERAPIES IS THAT MANY
21 OF THESE GENETIC THERAPIES ARE EXTREMELY NEW.
22 CRISPR-CAS9 WASN'T EVEN KNOWN UNTIL ABOUT 10, 15
23 YEARS AGO. AND SO MANY OF THESE TECHNOLOGIES THAT
24 WE ARE USING ARE NEW, AND THEY COME WITH SOME
25 UNKNOWN RISKS. AND SOME OF THESE UNKNOWN RISKS

BETH C. DRAIN, CA CSR NO. 7152

1 BECAME EVIDENT IN 2021, FEBRUARY, WHEN ONE OF THE
2 COMPANIES, BLUEBIRD BIO, WHICH WAS CONDUCTING A
3 LENTIVIRAL GENE THERAPY APPROACH FOR GENE ADDITION
4 OF SICKLE CELL DISEASE, IT ANNOUNCED THAT TWO OF
5 THEIR PATIENTS HAD DEVELOPED A MYELOID MALIGNANCY.
6 SUBSEQUENTLY EXTENSIVE INVESTIGATIONS WERE DONE, AND
7 IT WAS SHOWN THAT THE LENTIVIRAL VECTOR WAS NOT
8 RESPONSIBLE FOR DEVELOPMENT OF LEUKEMIA IN THESE TWO
9 PATIENTS. WE COULDN'T CONCLUSIVELY SAY WHAT HAD
10 HAPPENED OR WHAT CAUSED IT. IT IS POTENTIALLY
11 POSSIBLE THAT PERHAPS THE UNDERLYING SICKLE CELL
12 DISEASE THAT THESE PATIENTS HAD COULD HAVE
13 PREDISPOSED THEM TO DEVELOPMENT OF LEUKEMIA. IT IS
14 ALSO POSSIBLE THAT PERHAPS THE CELLULAR PROCESSING
15 OR THE RECONSTITUTION THAT HAPPENED AFTER THEY
16 UNDERWENT GENE THERAPY WAS RESPONSIBLE FOR EXPANSION
17 OF CERTAIN CLONES THAT HARBORED A CERTAIN MUTATION,
18 BUT ALL THAT IS VERY, VERY COMPLICATED.

19 IN THE END THIS IS -- IT'S A RISK THAT
20 THESE PATIENTS TOOK WHILE THEY WERE PARTICIPATING IN
21 A FIRST-IN-HUMAN CLINICAL TRIAL. OF COURSE,
22 LONG-TERM FOLLOW-UP IS NEEDED SO THAT WE CAN
23 ESTABLISH WHAT THE TRUE RISK OF THESE THERAPIES IS.
24 WHAT I WANT TO HIGHLIGHT OVER HERE IS THAT THERE IS
25 A LOT OF STUFF THAT WE DON'T KNOW ABOUT THESE NOVEL

BETH C. DRAIN, CA CSR NO. 7152

1 TREATMENTS. AND SO IF WE DON'T KNOW ABOUT IT
2 ADEQUATELY, HOW ARE WE GOING TO NOW COUNSEL OUR
3 PATIENTS ABOUT THESE UNKNOWNNS? AND ARE WE DOING A
4 GOOD ENOUGH JOB IN OUR CONSENT DOCUMENTS?

5 THERE ARE SOME OTHER CHALLENGES WITH
6 HEMATOPOIETIC STEM CELL GENE THERAPY, WHICH I'LL
7 HIGHLIGHT BRIEFLY OVER HERE. OF COURSE, THERE ARE
8 PROBLEMS ASSOCIATED WITH HEMATOPOIETIC STEM CELL
9 COLLECTION, WHICH IS NOT AT ALL EASY. THERE ARE
10 RISKS ASSOCIATED WITH OFF-TARGET EFFECTS. WE INTEND
11 TO MODIFY A CERTAIN LOCATION IN THE GENOME, BUT
12 COULD IT MODIFY SOMEWHERE ELSE? THERE ARE, OF
13 COURSE, ISSUES WITH THE USE OF CHEMOTHERAPEUTIC
14 AGENTS TO CONDITION THE PATIENTS BEFORE THEY RECEIVE
15 HSC-BASED GENE THERAPY. SAFETY, AS I MENTIONED,
16 ETHICAL CONCERNS, AND THEN FINANCIAL CONSIDERATIONS
17 WHICH WERE ALSO RAISED EARLIER. AND LAST, BUT NOT
18 THE LEAST, EQUITABLE ACCESS TO THESE THERAPIES. SO
19 I'M GOING TO VERY BRIEFLY TALK ABOUT SOME OF THESE
20 IN THE NEXT FEW SLIDES.

21 AND SO WHAT DO PATIENTS THINK ABOUT THESE
22 GENETIC THERAPIES? SO WE CONDUCTED FOCUS GROUPS OF
23 SICKLE CELL DISEASE PATIENTS TO ASK THEM QUESTIONS
24 ABOUT WE ARE OFFERING THESE GENETIC THERAPIES IN OUR
25 CLINIC AS A PART OF A CLINICAL TRIAL. AND, OF

BETH C. DRAIN, CA CSR NO. 7152

1 COURSE, SOME OF THESE WILL BE APPROVED WITHIN THE
2 NEXT FEW MONTHS. SO WE WANTED TO KNOW HOW MUCH DO
3 PATIENTS KNOW ABOUT THESE THERAPIES, IF ANYTHING AT
4 ALL. AND MAJORITY REPORTED NO OR VERY LITTLE
5 KNOWLEDGE OF GENE THERAPY FOR SICKLE CELL DISEASE.
6 AND ALMOST HALF OF THEM SAID THAT, IF THERE WAS ANY
7 RISK OF CANCER FROM PARTICIPATING IN RECEIVING A
8 GENE THERAPY, THAT THEY WOULD NOT ENGAGE IN THAT
9 GENE THERAPY CLINICAL TRIAL.

10 AND SO AS I MENTIONED EARLIER, THERE WERE
11 TWO CASES OUT OF 40 SOME PATIENTS WHO WERE TREATED.
12 SO THAT RISK IS NOT MINIMAL. AND EVEN THOUGH THE
13 LENTIVIRAL VECTOR WAS NOT INVOLVED DIRECTLY IN THE
14 DEVELOPMENT OF CANCER IN THOSE PATIENTS, IT IS
15 PLAUSIBLE THAT PERHAPS THE WHOLE PROCESS OF GENETIC
16 MODIFICATION AND REINFUSION COULD HAVE HAD SOMETHING
17 TO DO WITH IT. SO HALF OF OUR PATIENTS WOULD NOT
18 EVEN PARTICIPATE IN THAT SORT OF THERAPY IF THERE
19 WAS ANY RISK OF CANCER.

20 AND THERE'S ALWAYS THIS FEAR OF UNKNOWN
21 VERSUS THE COMFORT WITH CURRENT THERAPY AMONGST ANY
22 PATIENT POPULATION. THE TREATMENT-RELATED MORTALITY
23 OF EITHER TRANSPLANTATION OR GENE THERAPY IS A KNOWN
24 RISK WHICH CAN HAPPEN RATHER ACUTELY OVER A MATTER
25 OF A FEW DAYS TO FEW WEEKS AFTER THESE PATIENTS

BETH C. DRAIN, CA CSR NO. 7152

1 RECEIVE THE THERAPY. WHEREAS, EVEN THOUGH WE ALL
2 KNOW THAT SICKLE CELL DISEASE REDUCES LIFE SPAN OF
3 THESE INDIVIDUALS, THAT'S NOT A MORTALITY RISK THAT
4 HAPPENS IN THE SHORT TERM. THESE PATIENTS WITH
5 SICKLE CELL DISEASE WHO ARE DOING FINE TODAY CAN
6 LIVE WITH THEIR SICKLE CELL DISEASE FOR DECADES, FOR
7 20, 30 YEARS BEFORE ORGAN DAMAGE CATCHES UP WITH
8 THEM. SO THERE IS ALWAYS THAT DIFFICULTY TO ASSESS
9 WHAT IS THE FUTURE RISK IN 20 YEARS VERSUS THE RISK
10 OF PARTICIPATING IN A CLINICAL TRIAL TODAY?

11 LAST, BUT NOT THE LEAST, THESE THERAPIES
12 ARE EXTREMELY COMPLICATED. AND THE HEALTH LITERACY
13 OF MOST PATIENTS IS VERY LOW. SO HOW DO WE
14 ADEQUATELY CONVEY SOME OF THESE RISKS AND CHALLENGES
15 AND THE TECHNIQUES THAT WE CURRENTLY USE TO PERFORM
16 THESE GENE THERAPIES TO OUR PATIENTS? I THINK
17 THAT'S A TOPIC THAT ALL OF US ARE TRYING TO GRAPPLE
18 WITH. AND SO I WANT TO HIGHLIGHT THIS ONE *60 MINUTE*
19 CBS EPISODE THAT WAS AIRED A COUPLE OF YEARS AGO IN
20 2020.

21 SO THIS EPISODE WAS TALKING ABOUT THE
22 LENTIVIRAL GENE THERAPY, AND A PATIENT AT NIH WHO
23 HAD RECEIVED THAT THERAPY WAS HIGHLIGHTED IN THIS
24 EPISODE. AND WHAT THE NARRATOR OF THE EPISODE SAID
25 AS HE WAS DESCRIBING HOW THIS GENE THERAPY IS DONE,

BETH C. DRAIN, CA CSR NO. 7152

1 THIS IS WHAT HE SAID. "HERE'S HOW IT WORKS. THE
2 CORRECTED GENE SEEN HERE IN YELLOW IS INSERTED INTO
3 THE HIV VIRUS. THEN BONE MARROW STEM CELLS ARE
4 TAKEN FROM A PATIENT WITH SICKLE CELL ANEMIA. IN
5 THE LABORATORY THOSE CELLS ARE COMBINED WITH A VIRUS
6 CARRYING THAT NEW DNA."

7 AND SO FOR A LAYPERSON, FOR A PATIENT, ALL
8 THAT STUCK IN THAT DISCUSSION WAS THE USE OF THE
9 WORD "HIV VIRUS." NOW, WHAT IS TRUE IS THAT A
10 LENTIVIRAL VECTOR IS INDEED A MODIFIED HIV VIRUS
11 FROM WHICH ALL THE HIV CAUSING MACHINERY HAS BEEN
12 REMOVED SO THAT VIRUS CANNOT PRODUCE HIV/AIDS. BUT
13 NEVERTHELESS, THE USE OF THIS OVERSIMPLISTIC
14 TERMINOLOGY OVER HERE, THAT THIS GENE THERAPY USES
15 HIV VIRUS STUCK WITH A LOT OF MY PATIENTS AND
16 OTHERS. SO MUCH SO THAT ONE OF OUR PATIENTS
17 ACTUALLY CAME TO THE CLINIC WHEN ENROLLED ON A
18 DIFFERENT GENE THERAPY TRIAL AND BASICALLY TOLD ME
19 THAT, "FIRST, THEY GAVE US SYPHILIS AND NOW THEY'RE
20 GIVING US HIV. I DON'T WANT TO PARTICIPATE IN THIS
21 GENE THERAPY BUSINESS OF YOURS."

22 THERE IS ALSO A VERY PROFOUND MISTRUST OF
23 MEDICAL PROFESSIONALS AND RESEARCH IN GENERAL. THIS
24 IS ANOTHER STATEMENT THAT ONE OF OUR PATIENTS MADE
25 WHEN THEY HEARD ABOUT THE ANNOUNCEMENT OF THESE

BETH C. DRAIN, CA CSR NO. 7152

1 PATIENTS WHO HAD DEVELOPED LEUKEMIA ON THAT CLINICAL
2 TRIAL. THIS PATIENT FLAT OUT ASKED US, "IS THERE
3 SOMETHING NEW THAT YOU GUYS JUST LEARNED ABOUT, OR
4 HAVE ALWAYS KNOWN THAT GENE THERAPY COULD CAUSE
5 CANCER?"

6 AGAIN, AS I SAID, THE MESSAGING AROUND
7 THESE NOVEL THERAPIES HAS BEEN PROBLEMATIC. IF WE
8 USE THE HIGH FIVE TERMS LIKE CRISPR-CAS9, ZINC
9 FINGER NUCLEASES, OBVIOUSLY NOBODY UNDERSTANDS THAT,
10 BUT THEN WE MERELY SIMPLIFY IT AND JUST SAY THIS IS
11 AN HIV VIRUS, THAT CAUSES EVEN MORE PROBLEMS. SO
12 WHAT IS THE GOLDEN PART IN THE MIDDLE? HOW DO WE
13 CONVEY THE RIGHT INFORMATION WHILE NOT MAKING IT TOO
14 SIMPLE AND PROBLEMATIC?

15 AND SO GENE THERAPY IS INDEED HARD TO
16 UNDERSTAND, AND THE LONG-TERM RISKS ARE CURRENTLY
17 UNKNOWN. I THINK WHAT WE NEED TO DEVELOP ARE BETTER
18 EDUCATIONAL MATERIALS AND WHAT I CALL INFORMED
19 CONSENT 2.0 WHERE JUST A CONSENT DOCUMENT COMPRISING
20 OF A BUNCH OF WORDS WRITTEN ON SHEETS AND SHEETS OF
21 PAPER IS NOT THE WAY TO DO IT. I THINK POTENTIAL
22 TRIAL PARTICIPANTS SHOULD BE ACTIVE PARTNERS AND
23 STAKEHOLDERS IN THE DEVELOPMENT OF THESE THERAPIES
24 AS WELL AS THE DEVELOPMENT OF THESE INFORMED CONSENT
25 DOCUMENTS SO THAT THE DOCUMENTS ACTUALLY CONTAIN THE

BETH C. DRAIN, CA CSR NO. 7152

1 INFORMATION THAT THE PATIENTS ACTUALLY WANT TO KNOW,
2 THAT THEY CARE ABOUT. AND COULD WE JUMP BEYOND
3 THESE DOCUMENTS AND DEVELOP ACTUALLY AUDIOVISUAL
4 AIDS WHICH THE PATIENTS ARE ABLE TO THEN ENGAGE WITH
5 AND LOOK THROUGH OR READ OR LISTEN TO AT THEIR OWN
6 LEISURE AND LEARN ABOUT THESE THERAPIES AT THEIR OWN
7 PACE?

8 AND SO I THINK DEVELOPMENT OF THESE
9 EDUCATIONAL MATERIALS, WE ABSOLUTELY HAVE TO GET
10 INPUT FROM PATIENT REPRESENTATIVES AND ADVOCATES SO
11 THAT WE DON'T DEVELOP A THERAPY THAT IN THE END
12 NOBODY WANTS.

13 THERE ARE ALSO CONCERNS ABOUT WHO'S
14 ELIGIBLE TO PARTICIPATE IN THESE CLINICAL TRIALS.
15 THE PHARMACEUTICAL COMPANIES AND ACADEMIC CENTERS
16 ALIKE, WE PUT THESE VERY RIGID BOOKMARKS AROUND THE
17 ELIGIBILITY, THAT YOU HAVE TO MEET THIS CRITERIA TO
18 BE ELIGIBLE FOR A CLINICAL TRIAL. BUT THEN THESE
19 THERAPIES GO OUT AND ARE AVAILABLE TO PATIENTS ONCE
20 THEY ARE APPROVED WHO DO NOT FIT THAT MOLD. AND HOW
21 THESE AFFECT THOSE PATIENTS, IT'S AN AREA THAT IS A
22 COMPLETE BLACK BOX THAT WE DON'T KNOW ABOUT.

23 ALSO, WE WANT TO ENROLL CHILDREN AS
24 QUICKLY AS POSSIBLE BECAUSE WE BELIEVE THAT
25 CHILDREN, BEFORE THEY DEVELOP ALL THE COMPLICATIONS

BETH C. DRAIN, CA CSR NO. 7152

1 RELATED TO THESE DISEASES, WOULD BE THE PERFECT
2 CANDIDATES FOR RECEIVING THESE THERAPIES. BUT HOW
3 EARLY IS TOO EARLY AND WHO GETS TO DECIDE THAT? IS
4 TREATMENT OF THREE ADULTS ENOUGH? OR DO WE NEED
5 DATA ON 12 ADULTS OR 20 ADULTS? HOW MANY ADULT
6 PATIENTS NEED TO BE TREATED ENOUGH FOR A CHILD TO
7 RECEIVE THAT?

8 AGAIN, I'M GOING TO SKIP THIS IN THE
9 INTEREST OF TIME. I THINK I'VE SPOKEN ENOUGH ABOUT
10 THIS. BUT, AGAIN, WHAT I'M TRYING TO REFLECT OVER
11 HERE IS THAT SOME OF THESE HARD RULES THAT WE CREATE
12 AROUND CLINICAL TRIAL ENROLLMENT MAY NEED TO BE
13 THOUGHT OVER AGAIN SO THAT WE ACTUALLY PROVIDE THE
14 MOST OPPORTUNITY FOR BENEFIT TO OUR PATIENTS WHILE
15 MAINTAINING THESE SAFEGUARDS AROUND THESE NOVEL
16 THERAPIES.

17 AND THEN THERE ARE, OF COURSE, CONCERNS
18 ABOUT FINANCIAL IMPLICATIONS. GENE THERAPIES, AS
19 YOU ALL KNOW, ARE ONE OF THE MOST EXPENSIVE
20 TREATMENTS EVER DEVELOPED. ZYNTEGLO, WHICH IS THE
21 LENTIVIRAL GENE THERAPY FOR SICKLE CELL DISEASE AND
22 THALASSEMIA, WAS PRICED AT \$1.8 MILLION IN THE
23 EUROPEAN UNION WHEN IT WAS APPROVED THERE. AND THE
24 COMPANY WHICH WAS MANUFACTURING THIS DRUG JUST
25 PULLED OUT OF EU, CITING THAT EU SAID THAT THEY

BETH C. DRAIN, CA CSR NO. 7152

1 WOULD PAY \$900,000, AND THE COMPANY BASICALLY SAID
2 THAT THAT'S TOO LOW AND THEY WOULD NOT BE ABLE TO
3 MANUFACTURE THIS TREATMENT. EVEN THOUGH THIS
4 THERAPY WAS APPROVED IN THE EUROPEAN UNION ALMOST
5 TWO, THREE YEARS AGO, NO PATIENT HAS ACTUALLY
6 RECEIVED ACCESS TO THIS THERAPY THERE YET.

7 AND ZYNTEGLO WAS RECENTLY APPROVED IN THE
8 U.S., AND I'VE SEEN MULTIPLE REPORTS. I THINK THERE
9 WAS ONE REPORT THAT SAID IT COULD BE PRICED AT \$2.6
10 MILLION, BUT DEFINITELY IT'S MORE THAN \$2 MILLION
11 APIECE. AND SO THAT'S A VERY HIGH SUM FOR PATIENTS
12 IN A DEVELOPED COUNTRY. I DON'T KNOW HOW PATIENTS
13 IN LOWER AND MIDDLE INCOME COUNTRIES WHERE I SHOWED
14 YOU THIS CARTOGRAM PREVIOUSLY, HOW ARE ANY OF THE
15 PATIENTS IN AFRICA AND INDIA EVER GOING TO BE ABLE
16 TO AFFORD THIS TREATMENT IF IT COSTS \$2 MILLION IN
17 THE U.S. AND IT'S NOT AVAILABLE FOR PEOPLE IN THE
18 EUROPEAN UNION EITHER?

19 SO WHAT, IF ANY, PAYMENT MODELS WOULD BE
20 APPLICABLE TO THESE THERAPIES? WHAT IF THERE'S NO
21 RESPONSE TO THE THERAPY? OR IF THE RESPONSE WEARS
22 OFF, WOULD THE PATIENTS GET THEIR MONEY BACK? AND
23 HOW WOULD SELF-FINANCING OR UNINSURED PATIENTS,
24 WHICH UNFORTUNATELY ARE THE MAJORITY OF PATIENTS IN
25 THE WORLD, HOW WOULD THEY BE ABLE TO EVER AFFORD

BETH C. DRAIN, CA CSR NO. 7152

1 THESE THERAPIES ARE QUESTIONS WHICH ARE BEYOND THE
2 REACH OF MOST OF THE ACADEMICS.

3 AND SO IS THE CURE ACCESSIBLE TO
4 INDIVIDUALS WHO NEED IT THE MOST? I WOULD ARGUE
5 THAT, WHILE MANY LOW AND MIDDLE INCOME COUNTRIES
6 CURRENTLY DO NOT HAVE THE CAPACITY TO DELIVER THESE
7 THERAPIES BECAUSE THESE ARE SO COMPLICATED AND THERE
8 ARE OTHER COMPETING PRIORITIES LIKE NUTRITION, HIV,
9 MALARIA, MANAGEMENT OF THOSE DISEASE IS MUCH MORE
10 IMPORTANT THAN TAKING CARE OF SICKLE CELL DISEASE OR
11 OTHER HEMOGLOBINOPATHIES IN THESE COUNTRIES. I DO
12 THINK THAT THERE IS AN OPPORTUNITY TO LEAP FROG INTO
13 GENE THERAPY IN THESE LOW AND MIDDLE INCOME
14 COUNTRIES AND SKIP TRANSPLANTATION COMPLETELY
15 BECAUSE, FIRST OF ALL, EVEN THOUGH GENE THERAPY OR
16 GENE EDITING IS COMPLICATED, IT IS INDEED A LITTLE
17 LESS COMPLICATED FROM A DELIVERY STANDPOINT THAN
18 TRANSPLANTATION. THE MONITORING IS RATHER EASIER.
19 THERE ARE LESS LOGISTICAL CHALLENGES SURROUNDING
20 IMMUNOSUPPRESSION, ET CETERA.

21 AND SO I WOULD EVEN ARGUE THAT IN LOW AND
22 MIDDLE INCOME COUNTRIES WHERE ACCESS TO OTHER
23 COMPLICATED THERAPIES OR EVEN DRUG THERAPIES, WHICH
24 ARE VERY EXPENSIVE, IS JUST UNAFFORDABLE OR NOT
25 EASILY AVAILABLE, AND MONITORING OF THESE PATIENTS

BETH C. DRAIN, CA CSR NO. 7152

1 WITH THESE DISEASES IS SO COMPLICATED. GENE THERAPY
2 MIGHT ACTUALLY BE THE AVENUE THAT WE CAN PURSUE IN
3 THESE COUNTRIES AND LOW RESOURCE SETTINGS.

4 AND SO TO SUMMARIZE, I WOULD SAY THE
5 LONG-TERM SAFETY AND RISKS OF NOVEL THERAPIES, THEY
6 NEED TO BE ESTABLISHED. OF COURSE, THE CLINICAL
7 TRIALS AND LONG-TERM FOLLOW-UP ARE GOING ON RIGHT
8 NOW. OVERCOMING THE COST BARRIER IS CRUCIAL TO
9 IMPROVING ACCESSIBILITY TO THESE THERAPIES. AND WE
10 HAVE TO REMEMBER WHERE ARE THE PATIENTS WHO NEED
11 THESE THERAPIES THE MOST? WHAT IS THE GLOBAL BURDEN
12 OF THESE DISEASES? AND PATIENT-CENTERED AND
13 CULTURALLY COMPETENT EDUCATIONAL MATERIAL IS
14 CRITICAL FOR THE DISSEMINATION OF THESE THERAPIES.
15 AND ENGAGING WITH PATIENTS AS PARTNERS FROM THE VERY
16 BEGINNING TO DEVELOP MEANINGFUL CURES IS MOST
17 IMPORTANT.

18 AND SO THERE IS HOPE THAT MANY OF THESE
19 GENETIC DISEASES MIGHT HAVE A TREATMENT SOON WITH
20 THE HELP OF NOVEL GENOME EDITING METHODS AND
21 DEVELOPMENT OF GENETIC THERAPIES. THERE'S ALSO A
22 HYPE THAT MOST OF THESE TREATMENTS ARE POTENTIALLY
23 CURATIVE. I WOULD ARGUE THAT THEY ARE NOT. THEY
24 ARE POTENTIALLY CURATIVE IN MOST OF THE PATIENTS,
25 BUT NOT EVERYBODY. AND SO WE HAVE TO BE CAREFUL

BETH C. DRAIN, CA CSR NO. 7152

1 AROUND THE WORDS THAT WE USE. AND, OF COURSE,
2 LONG-TERM FOLLOW-UP IS NEEDED TO ESTABLISH BOTH
3 SAFETY AS WELL AS THE CURATIVE POTENTIAL. BUT CURE
4 WILL NEED TO BE DEFINED INDIVIDUALLY FOR EACH
5 PATIENT AND FOR EACH DISEASE AND MAY NOT BE THE SAME
6 FOR EVERY SINGLE PATIENT. LIKE I MENTIONED, SOME OF
7 THESE PREEXISTING ORGAN FUNCTION DEFICITS, THEY MAY
8 CONTINUE TO NEED MEDICAL ATTENTION BEYOND THE
9 HEMATOLOGICAL OR CURE AS WE DEFINE OTHERWISE.

10 SO WITH THAT, I DO WANT TO THANK A LOT OF
11 FOLKS WHO HELP ME IN COLLECTING SOME OF THIS DATA
12 AND ANALYZING THESE THOUGHTS THAT I COULD PRESENT TO
13 YOU TODAY. OF COURSE, MANY OF OUR FUNDING SOURCES.
14 I'LL BE HAPPY TO TAKE ANY QUESTIONS NOW DURING THE
15 DISCUSSION. OR IF I'M UNABLE TO TAKE THEM, THIS IS
16 MY EMAIL ADDRESS. AND I ALSO TWEET ABOUT THESE
17 GENETIC THERAPIES OF SICKLE CELL DISEASE ON TWITTER,
18 THOUGH I UNDERSTAND THAT'S NOT THE PREFERRED SOCIAL
19 MEDIA PLATFORM ANYMORE. IF YOU ARE STILL THERE, WE
20 CAN ENGAGE ON THAT TOO. SO THANK YOU SO MUCH FOR
21 YOUR ATTENTION, AND I'LL BE HAPPY TO TAKE ANY
22 QUESTIONS NOW.

23 CO-CHAIRMAN KAHN: HANDS? I CAN START.
24 CAN YOU ALL HEAR ME?

25 DR. SHARMA: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 CO-CHAIRMAN KAHN: GOOD. THANK YOU FOR
2 THAT. AND NOTING THAT MY COLLEAGUE, YORAM UNGURU,
3 IS ONE OF THE PEOPLE WHO WAS ON YOUR LAST SLIDE. SO
4 I'VE HAD A LITTLE BIT OF CONVERSATION ABOUT SOME OF
5 THESE TOPICS WITH HIM.

6 I WONDER IF YOU COULD -- TWO THINGS.
7 WONDER IF YOU CAN SAY SOMETHING ABOUT THE
8 RECRUITMENT PROCESS AND HOW SUCCESSFUL OR NOT IT HAS
9 BEEN. SO OBVIOUSLY THERE'S EXPERIENCE WITH CONSENT
10 IN THESE VERY COMPLICATED CONTEXTS THAT YOU HAVE
11 UNDERTAKEN IN THE CLINICAL TRIAL CONTEXT. AND
12 WONDERING IF THERE HAS BEEN ANY PARTICULAR SETS OF
13 CHALLENGES, ISSUES WITH RECRUITMENT, OR HAS IT BEEN
14 EASY TO FILL THESE TRIALS? SO THAT'S ONE. GO
15 AHEAD. I'LL ASK MY SECOND AFTER YOU ANSWER THAT.

16 DR. SHARMA: WELL, FORTUNATELY OR
17 UNFORTUNATELY, MOST OF THE EARLY PHASE CLINICAL
18 TRIALS RECRUIT A VERY SMALL NUMBER OF PATIENTS. SO
19 MOST OF THESE TRIALS ARE OPEN AT, LET'S SAY, 15
20 CENTERS WORLDWIDE. AND EACH CENTER RECRUITS MAYBE
21 TWO OR THREE PATIENTS BECAUSE THE TOTAL GLOBAL
22 RECRUITMENT IN THESE TRIALS IS MAYBE ABOUT 45 TO 50,
23 60 PATIENTS ANYWAY.

24 SO WHEN YOU LOOK AT THAT, WE ARE TREATING
25 A VERY, VERY SMALL NUMBER OF PATIENTS IN THESE

BETH C. DRAIN, CA CSR NO. 7152

1 CLINICAL TRIALS. AND THAT'S THE BASIS OF THESE DRUG
2 THERAPIES, CELLULAR THERAPIES, GETTING APPROVAL.
3 AND SO I'D SAY RECRUITING ONE OR TWO PATIENTS HAS
4 NOT BEEN THAT CHALLENGING.

5 HAVING SAID THAT, I HAVE SOMETIMES HAD TO
6 DISCUSS THESE THERAPIES WITH ALMOST SIX TO SEVEN
7 PATIENTS TO FILL UP ONE SLOT. AND SO IT'S A
8 DOUBLE-EDGED SWORD THAT YOU DON'T HAVE TO RECRUIT
9 TOO MANY PATIENTS ON THESE CLINICAL TRIALS, SO IT'S
10 NOT THAT HARD. BUT EVEN TO FILL UP THAT ONE SPOT,
11 YOU HAVE TO DISCUSS THE THERAPY WITH MANY, MANY
12 MORE.

13 IT'S GENERALLY A LITTLE BIT HARDER IN THE
14 BEGINNING WHEN THERE IS NO DATA; BUT ONCE A COUPLE
15 OF PATIENTS HAVE BEEN TREATED AND THERE ARE EARLY
16 CLINICAL TRIAL RESULTS, AND I'M SURE, AS YOU WILL
17 NOTICE, THESE PHARMACEUTICAL COMPANIES, EVEN WHEN
18 THEY HAVE TREATED JUST ONE OR TWO PATIENTS AND THEY
19 HAVE MAYBE LIKE A SIX-MONTH FOLLOW-UP, THEY MAKE A
20 BIG NEWS AND A BIG ANNOUNCEMENT ABOUT IT, WHICH IS
21 THE HYPE THAT I MENTIONED ABOUT. THEY TALK ABOUT IT
22 AT ACADEMIC CONFERENCES AND THEY MAKE A PRESS
23 RELEASE, AND THERE'S A LOT OF HOOPLA AROUND IT.

24 AND SO THAT SORT OF PUBLICITY DOES MAKE IT
25 A LITTLE BIT EASIER TO RECRUIT PATIENTS, BUT I ALSO

BETH C. DRAIN, CA CSR NO. 7152

1 WORRY THAT THAT ALSO CREATES SOME OF THIS
2 THERAPEUTIC MISCONCEPTION AND AN EXPECTATION OF CURE
3 THAT, HEY, THIS IS A TREATMENT THAT, IF I GET THAT,
4 I'LL ABSOLUTELY BE CURED OF MY DISEASE, WHICH IS, AS
5 WE ALL KNOW, THAT THERE IS ALWAYS A STATEMENT IN ANY
6 PHASE 1 CLINICAL TRIAL THAT WE DON'T KNOW IF THIS
7 TREATMENT WILL EVER WORK FOR YOU OR NOT. BUT I
8 THINK MOST OF US, INCLUDING CLINICIANS AND PATIENTS,
9 THEY CONSIDER THAT STATEMENT TO BE JUST LEGAL JARGON
10 AND MOVE ON, BUT THAT'S NOT THE CASE.

11 THERE IS A VERY LIKELY POSSIBILITY THAT
12 SOME OF THESE PHASE 1 THERAPIES MAY NOT WORK. SO
13 THAT'S WHY I THINK THERE IS A TUSSELE BETWEEN THE
14 HYPE AND THE POTENTIALLY CURATIVE POTENTIAL OF THESE
15 THERAPIES. SO YEAH. THAT WAS A LONG-WINDED WAY TO
16 ANSWER YOUR QUESTION, BUT I WANTED TO GET ALL OF
17 THESE THINGS OFF MY CHEST.

18 CO-CHAIRMAN KAHN: I'M HAVING A COMPUTER
19 ISSUE. MAYBE SOMEONE ELSE CAN TAKE OVER FOR THE
20 MOMENT. I DON'T KNOW IF YOU CAN HEAR ME.

21 CO-CHAIRMAN FISHER: WE CAN HEAR YOU, AND
22 I CAN TAKE OVER. I DON'T KNOW YOUR FIRST NAME.

23 DR. WAGNER: SO THIS IS JOHN WAGNER. SO
24 THE ONE THING, AS YOU WERE SPEAKING, THAT OCCURS TO
25 ME, THINK ABOUT THE EXPERIENCE PARTICULARLY AT ST.

BETH C. DRAIN, CA CSR NO. 7152

1 JUDE, BUT ALL OF US WHO DO PEDIATRIC ONCOLOGY AND
2 HOW THAT'S EVOLVED OVER TIME. BUT ALSO, AS YOU
3 KNOW, PARTICULARLY AT ST. JUDE AND A FEW OTHER
4 PLACES, WE HAD NO IDEA THE KINDS OF LATE EFFECTS
5 THAT WE WOULD SEE IN THE FUTURE, BUT THAT WAS 20, 30
6 YEARS DOWN THE ROAD.

7 SO THERE ARE THINGS THAT WE CANNOT
8 FORESEE. AND I THINK THERE ALSO NEEDS TO BE IN SOME
9 WAYS, AT LEAST FROM OUR POINT OF VIEW, AND IT'S
10 DIFFERENT FROM OUR ACADEMIC POINT OF VIEW VERSUS
11 WHAT THE PATIENTS HEARS OR THINKS; BUT AS YOU SAY,
12 WHEN WE TALK ABOUT THE FIRST SUCCESS, THE TYPICAL
13 FIRST PHASE 1 STUDY IS REALLY LOOKING AT A SPECIFIC
14 ENDPOINT THAT IS NOT CURE. EVEN IN THE PHASE 2
15 STUDY, YOU'RE LOOKING FOR EARLY ENDPOINTS.

16 AND SO ALL OF THE SUCCESS AND HOOPLA AND
17 EXCITEMENT ABOUT IT WAS REALLY AROUND THE EARLY
18 RESULTS THAT ACHIEVED, AND LET'S SAY IT WAS IN TERMS
19 OF ENGRAFTMENT OR YOUR PROPORTION OF SICKLE CELLS OR
20 LACK OF. AND SO THAT'S WHERE THE EXCITEMENT COMES
21 FROM BECAUSE IF YOU DON'T GET THAT, YOU CAN'T GET TO
22 THE LONG-TERM RECOVERY.

23 I THINK THAT WHAT YOU'RE GOING AFTER IS
24 THAT SOMEHOW WE HAVE TO DO BETTER ABOUT CLEARLY
25 DELINEATING THE EARLY ENDPOINTS VERSUS WHAT THE

BETH C. DRAIN, CA CSR NO. 7152

1 LONG-TERM EXPECTATION IS. REALLY IN A PHASE 1-2
2 STUDY, PARTICULARLY A PHASE 1, EFFICACY REALLY
3 SHOULD BE PLAYED DOWN MORE THAN IT IS, BUT EFFICACY
4 MEANS DIFFERENT THINGS TO DIFFERENT PEOPLE. OF
5 COURSE, CURE IS WHAT YOU'RE LOOKING FOR.

6 THE OTHER THING, AS YOU KNOW, IN MORE
7 RECENT YEARS, A COUPLE YEARS AGO I DID A STUDY WITH
8 THE CIDMTR. AND WE FOR THE FIRST TIME EVER LOOKED
9 AT WHAT HAPPENED TO SICKLE CELL PATIENTS 20, 25
10 YEARS DOWN THE ROAD. WHAT WAS SHOCKING TO ME IS
11 THAT NO ONE HAD EVER DONE THAT STUDY. WHAT WE HAD
12 SEEN IS WITH REDUCED INTENSITY CONDITIONING, GRAFT
13 FAILURE NEVER STOPPED. THE PATIENTS, THE LONGER
14 THEY WENT OUT, BUT YET ALL THE REPORTS SAID 10
15 PERCENT GRAFT FAILURE, BUT THEY TYPICALLY STOPPED AT
16 TWO YEARS. BUT IF YOU GO ON BEYOND THAT, IT NEVER
17 STOPPED. PATIENTS CONTINUED TO FAIL TO ENGRAFT.

18 THE OTHER THING WE LEARNED DURING THAT
19 PERIOD OF TIME WITH THE AMERICAN SOCIETY OF
20 HEMATOLOGY WAS THAT THE VERY REASON FOR WHICH THE
21 SICKLE CELL PATIENT WENT TO TRANSPLANT WAS NOT
22 FOLLOWED THE SAME WAY AFTER TRANSPLANT OR EVEN
23 REPORTED. SO IF YOU WENT IN FOR PAIN CRISES, WHAT
24 HAPPENED TO THE PAIN CRISES AFTER TRANSPLANT? OR IF
25 YOU WENT IN FOR CHEST SYNDROME, WHAT HAPPENED TO

BETH C. DRAIN, CA CSR NO. 7152

1 THAT AFTER TRANSPLANT? WHATEVER THE INDICATION WAS
2 FOR TRANSPLANT, THAT WAS NOT REPORTED.

3 SO PART OF -- WE HAVE A NUMBER OF
4 DIFFERENT ISSUES HERE. ONE IS THAT THE ONLY WAY WE
5 CAN LEARN IS BY MAKING SURE THAT CERTAIN WAYS OF
6 REPORTING ARE DONE. AND THIS OBVIOUSLY INFLUENCES
7 US WHEN WE THINK ABOUT THE GRANTS WORKING GROUP AND
8 HOW THEY SEE THE TRIALS. BUT ALSO WE HAVE TO THINK
9 ABOUT HOW IT'S PRESENTED TO OUR PATIENTS. AND
10 PERHAPS, AS WE TALK IN THE FUTURE ABOUT THE DESIGN
11 OF CONSENT PROCESSES, THIS MIGHT BE PART OF THAT.

12 BUT SOME OF THESE UNKNOWN RISKS, REMEMBER,
13 SOME OF IT WAS, FOR EXAMPLE, CLONAL HEMATOPOIESIS.
14 THAT WHOLE FIELD WAS EVOLVING AT THE SAME TIME YOU
15 WERE STARTING THE GENE THERAPY TRIALS. SO GOT TO
16 KEEP THAT IN MIND TOO, THAT THE FIELD IS EVOLVING IN
17 MANY DIFFERENT ASPECTS, AND WE COULDN'T HAVE
18 PREDICTED HOW THAT WOULD IMPACT OUR STUDY EVEN
19 THOUGH IT'S NOT REALLY PART OF OUR STUDY. ANYWAY,
20 IT'S MORE OF A COMMENT THAN ANYTHING ELSE.

21 DR. SHARMA: YOUR POINT IS WELL TAKEN, DR.
22 WAGNER. I COMPLETELY AGREE WITH YOU. BUT I THINK
23 WHAT I'M TRYING TO ALLUDE TO, AND I THINK YOU DID
24 THAT VERY WELL AS WELL, FOR MANY OF THESE PHASE 1
25 STUDIES, THE ENDPOINT IS A CERTAIN BIOMARKER, LET'S

BETH C. DRAIN, CA CSR NO. 7152

1 SAY HEMOGLOBIN OR NUMBER OF ADVERSE EVENTS.
2 UNFORTUNATELY, THE WAY THESE RESULTS ARE USUALLY
3 PRESENTED, EITHER AT ACADEMIC CONFERENCES OR IN
4 PRESS RELEASES AND THE WAY THEY ARE DISSEMINATED,
5 THE WORD "CURE" GETS THROWN AROUND A WHOLE BUNCH.
6 AND THEN HOW THAT MESSAGING SPREADS IN SOCIAL MEDIA
7 OR IN PATIENT NETWORKS IS COMPLETELY DIFFERENT FROM
8 HOW IT IS INTENDED TO BE SHARED.

9 AND SO I THINK THOSE ASPECTS ARE THE ONES
10 THAT NEED TO BE CAREFULLY CRAFTED AND DISCUSSED.
11 AND AT THE SAME TIME, I UNDERSTAND, AND I'M WITH YOU
12 COMPLETELY, THAT SOME OF THESE LATE EFFECTS AND
13 PROBLEMS THAT WE HAVE SINCE IDENTIFIED, WE DIDN'T
14 EVEN KNOW THAT THESE COULD HAPPEN EARLIER. WHEN
15 THESE THINGS DO HAPPEN, AGAIN, I THINK A VERY HONEST
16 AND FRANK COMMUNICATION WITH THE PATIENT COMMUNITIES
17 SURROUNDING WHAT DO WE KNOW NOW, WHAT IS THE NEW
18 INFORMATION THAT HAS BEEN GAINED OVER THE LAST TWO
19 YEARS, THREE YEARS, FOUR YEARS ABOUT THESE NEW
20 ADVERSE EVENTS THAT WE HAVE IDENTIFIED? I THINK
21 THAT NEEDS TO BE COMMUNICATED IN A PROACTIVE MANNER
22 RATHER THAN AS A REACTIVE MANNER IN WHICH, OH, THIS
23 HAPPENED. NOW WE HAVE TO SHUT THE TRIAL DOWN SO
24 THERE WILL BE A PRESS RELEASE.

25 CO-CHAIRMAN FISHER: JEFF, I SEE YOUR

BETH C. DRAIN, CA CSR NO. 7152

1 HAND.

2 CO-CHAIRMAN KAHN: THANKS. SORRY. MY
3 HEADPHONE STOPPED WORKING. HOPEFULLY YOU CAN HEAR
4 ME. I'M JUST TALKING THROUGH MY COMPUTER.

5 THE OTHER THING I WANTED TO ASK YOU,
6 AKSHAY, WAS YOUR VERY GOOD POINT, I THINK, ABOUT
7 CURE AND HOW PEOPLE UNDERSTAND THE TERM. AND
8 THERAPEUTIC MISCONCEPTION HAS LONG BEEN A PROBLEM IN
9 RESEARCH PARTICIPATION, AS I THINK WE ALL KNOW, I
10 THINK MADE MORE COMPLICATED BY WHAT APPEAR TO BE
11 KIND OF ONE AND DONE KINDS OF POTENTIAL THERAPIES.

12 AND SO I THINK AS WE EVEN MOVE THROUGH
13 CLINICAL TRIALS AND INTO EVENTUAL APPROVALS, THINGS
14 THAT SOUND LIKE ONE AND DONE ARE REALLY NOT, BUT
15 THERE'S ONGOING NEED FOR TESTING AND MAYBE TREATMENT
16 AND FOLLOW-UP AND ALL SORTS OF THINGS THAT MAKE IT
17 MUCH LESS LIKE YOU'VE HAD ONE TREATMENT AND WE NEVER
18 SEE UP AGAIN IN THE CLINIC. IT'S NOT THE WAY IT
19 WILL WORK PROBABLY EVER.

20 AND SO I THINK PART OF THE TAKEAWAY HERE,
21 AND THIS IS AGAIN A COMMENT, BUT I'D LOVE YOUR
22 THOUGHTS ABOUT IT, IS THAT JUST IT WILL REQUIRE
23 REALLY CAREFUL DISCLOSURES AND PROCESSES OF CONSENT
24 AND UNDERSTANDING SO PEOPLE REALLY UNDERSTAND WHAT
25 IT IS THEY'RE PARTICIPATING IN IN CLINICAL RESEARCH

BETH C. DRAIN, CA CSR NO. 7152

1 AND THEN EVENTUALLY EVEN AS RECIPIENTS OF APPROVED
2 THERAPIES. I THINK IT'S JUST KIND OF A NEW PHASE
3 THAT WE'RE GOING TO HAVE TO FIGURE OUT HOW TO DEAL
4 WITH.

5 DR. SHARMA: I COMPLETELY AGREE WITH YOU.
6 THAT'S WHAT I WAS GETTING TO TOWARDS THE END OF MY
7 TALK WHEN I SAID THAT A CURE WILL NEED TO BE DEFINED
8 FOR EVERY PATIENT INDIVIDUALLY BECAUSE IT MAY MEAN
9 DIFFERENT THINGS TO DIFFERENT PEOPLE. TO SOME
10 PATIENTS IT MIGHT MEAN THAT THEY DON'T HAVE TO TAKE
11 BLOOD TRANSFUSIONS ANYMORE. OR FOR SOME OTHER
12 PATIENTS, IT MIGHT MEAN THAT THEY DON'T HAVE TO BE
13 COMING TO HOSPITAL AS OFTEN. BUT LIKE DR. WAGNER
14 WAS SAYING, WE HAVE NEVER ACTUALLY STUDIED THESE
15 ENDPOINTS. WHAT HAPPENS TO PAIN? LIKE I SHOWED
16 YOU, THERE WAS A PATIENT OF MINE WHO GOT GENE
17 THERAPY, BUT HE'S STILL OPIOID DEPENDENT BECAUSE OF
18 THE CHRONIC PAIN THAT HE HAS. NOW, THE GOOD THING
19 IS THAT HE DOESN'T HAVE ANY ACUTE PAIN CRISES
20 ANYMORE.

21 SO IN SOME WAYS, I WOULD SAY THAT HE IS
22 IMPROVED. WOULD I CALL THAT A CURE? I PROBABLY
23 WOULDN'T, BUT SOME OTHERS MIGHT AND THAT'S OKAY. SO
24 THERE NEEDS TO BE THAT INDIVIDUALIZATION OF WHAT A
25 CURE MEANS FOR EACH PATIENT.

BETH C. DRAIN, CA CSR NO. 7152

1 CO-CHAIRMAN FISHER: SO I REALLY
2 APPRECIATE THE TALK, THE TRANSPARENCY, AND YOUR
3 SURFACING THESE ISSUES. WE HAVE LOTS OF EXAMPLES
4 WHERE THE MEDIA IS JUST NOT OUR FRIEND WHEN IT COMES
5 TO TALKING ABOUT WHAT'S HAPPENING IN THE THERAPY
6 DEVELOPMENT SPACE. I'M SURE WE ALL GET E-MAILS FROM
7 PATIENTS FREQUENTLY WHERE THEY'VE SEEN SOMETHING OR
8 THEY'VE HEARD ABOUT IT. IS THIS -- WHAT DO I THINK?
9 AND MAYBE WE NEED TO GET OURSELVES INVITED TO THE
10 NEXT ETHICS AND MEDIA CONFERENCE ONCE WE HAVE A
11 CLEAR PICTURE OURSELVES ABOUT WHAT WE MIGHT WANT TO
12 SAY TO THE MEDIA ABOUT HOW THEY REPORT THIS.

13 AND PART OF WHAT'S DRIVING THAT IS THE
14 MEDIA'S NEED FOR A GOOD STORY. AND THERE'S THIS
15 VERY UNHEALTHY RELATIONSHIP, AND I'M NOT SAYING THAT
16 THIS EXISTS WITHIN THE EXAMPLES YOU GAVE, BUT I
17 THINK THERE ARE OTHER EXAMPLES WHERE IT EXISTS WHERE
18 THE COMPANY THAT'S MOVING THESE TRIALS FORWARD HAS A
19 FINANCIAL CONFLICT OF INTEREST. THEY'RE TRYING TO
20 PUMP UP THEIR STOCK SHARES BY DISTORTING THE GOOD
21 NEWS. AND SO WE HAVE THESE SORT OF PERFECT
22 PARTNERS. THE COMPANIES WANT A WILLING PARTNER TO
23 SHARE AN EXAGGERATED STORY ABOUT HOW GOOD THE NEWS
24 IS, AND WE HAVE A PARTNER WHO NEEDS A GOOD STORY
25 THAT EXAGGERATES REALITY. AND SO THERE'S A PROBLEM

BETH C. DRAIN, CA CSR NO. 7152

1 INHERENT IN THE FINANCIAL CONFLICT OF INTEREST THAT
2 EXISTS IN TRYING TO RAISE THE MONEY NECESSARY TO
3 MOVE THESE THERAPIES FORWARD.

4 AND I'M ALWAYS VERY CAREFUL IN LOOKING AT
5 PARTICULARLY THE LATE STAGE CLINICAL TRIALS THAT
6 CIRM FUNDS FOR COMPANIES JUST BECAUSE OF THAT ISSUE.
7 AND I THINK THE WHOLE CONVERSATION AROUND CURE DOES
8 NEED TO EVOLVE, TO BE MORE NUANCED. IN
9 NEURODEGENERATIVE DISEASES, I DON'T THINK ANYBODY IS
10 REALLY TALKING ABOUT GETTING RID OF ALZHEIMER'S OR
11 RESTORING A PERSON BACK TO THEIR PRESYMPTOMATIC
12 STATE OR PARKINSON'S OR ALS OR ANY OF THEM EXCEPT
13 MAYBE SMA AND THE EXPERIENCE THERE.

14 I THINK BY AND LARGE THE PHYSICIANS
15 RUNNING THE TRIALS ARE MAYBE MORE CAUTIOUS ABOUT
16 THAT WITH PATIENTS AND MORE UPFRONT ABOUT THAT WITH
17 PATIENTS THAN THE SPONSORS OF THE TRIAL MIGHT BE.
18 SO THERE'S A TENSION THERE ALSO AS THE PATIENT HAS
19 TO RECONCILE THE HYPE.

20 BY THE WAY, I JUST LEARNED TODAY THAT HYPE
21 IS -- THE ROOT WORD OF HYPE IS HYPERBOLIC. I NEVER
22 MADE THAT CONNECTION BEFORE. SO THANK YOU ALL FOR
23 THAT.

24 THE PATIENTS AND THE CLINICIANS ARE IN A
25 POSITION TO SORT OF TAMP DOWN THE ENTHUSIASM THAT

BETH C. DRAIN, CA CSR NO. 7152

1 MIGHT BE COMING FROM THE COMPANY AND THE MEDIA
2 THAT'S ACTUALLY SPONSORING THE TRIAL. SO
3 COMPLICATED SPACE. I JUST WANTED TO THROW THAT
4 OTHER DYNAMIC OUT THERE, THAT THE EXAGGERATION IS
5 NOT JUST DRIVEN BY THE MEDIA NEEDING A GOOD STORY.
6 IN SOME CASES IT'S ALSO THE COMPANIES NEEDING TO
7 KEEP THEIR STOCK PRICE AFLOAT AND ATTRACT NEW
8 INVESTORS.

9 DR. WAGNER: CAN I RESPOND TO THAT THOUGH?
10 I HAVE TO SAY THAT THAT IS A COMMON BELIEF, BUT
11 THAT'S REALLY NOT BEEN MY EXPERIENCE. I THINK THAT
12 THE BIOTECH COMPANIES IN PARTICULAR ARE
13 HYPERSENSITIVE AS TO WHAT CAN BE SAID. IN FACT,
14 ACTUALLY EVEN WITH THIS TRIAL WITH BLUEBIRD OR OTHER
15 TRIALS THAT YOU'RE INVOLVED IN, CAN ATTEST TO, THE
16 COMPANIES ARE ACTUALLY MONITORING WHAT COMES OUT OF
17 OUR MOUTHS BECAUSE THEY WANT TO MAKE SURE THAT IT'S
18 NOT, NO. 1, THAT INFORMATION THAT'S NOT YET
19 DISCLOSED BY THE COMPANY. THEY DON'T WANT THAT TO
20 COME OUT. BUT IN ADDITION, THEY ALSO WANT TO MAKE
21 SURE THAT THERE IS NO OVERINTERPRETATION OF THE
22 DATA.

23 SO I AGREE WITH YOU THAT THERE IS A RISK,
24 AND I CAN'T SAY CERTAINLY, I'M SURE THERE'S MANY
25 EXAMPLES WHERE THAT IS TRUE WHERE THE COMPANY MAY

BETH C. DRAIN, CA CSR NO. 7152

1 SAY SOMETHING DIFFERENTLY. AT LEAST MAYBE IN MORE
2 RECENT YEARS, I FEEL EVERYONE IS MUCH MORE CAREFUL.

3 BUT IN ADDITION, I THINK THAT, IN TERMS OF
4 HOW WE DEAL WITH MEDIA, IT IS MORE DIFFICULT BECAUSE
5 I'VE HAD THE EXPERIENCE WHERE I TALK ABOUT A RESULT
6 AND THEY COME BACK AND THEY WILL SAY, "I CAN'T READ
7 WHAT THEY'VE WRITTEN IN ADVANCE." SO THEY MAY HAVE
8 TALKED TO ME, BUT YET THEN THEY WRITE THEIR STORY,
9 AND IT MAY NOT BE THE SAME STORY THAT I PRESENTED.
10 BUT MORE AND MORE FREQUENTLY, I WILL DEMAND TO READ
11 IT UPFRONT OR I DON'T GIVE THE INTERVIEW.

12 ON THE OTHER HAND, THAT'S THE PART THAT IS
13 HARD WITH WRITING STORIES ABOUT THE WORK THAT WE DO.
14 IN ANY CASE, I STILL THINK IT ALL COMES DOWN TO THE
15 CONSENT PROCESS AND BEING ABLE TO REALLY BREAK IT
16 DOWN INTO ITS COMPONENTS. BOY, THIS IS REALLY A
17 STRUGGLE BECAUSE IT'S COMPLICATED IN WHAT WE ARE
18 DOING AND BREAKING IT DOWN INTO WHAT ARE WE LOOKING
19 TO ACHIEVE RIGHT NOW VERSUS THE FUTURE.

20 ONE LAST COMMENT. IF I HAD TO SAY HOW DO
21 WE LIVE UP TO CIRM'S GOAL OF BEING ABLE TO DEVELOP
22 PRODUCTS THAT ARE GOING TO BE READILY AVAILABLE TO
23 THE PUBLIC IN SOME WAY, I WOULD SAY TO YOU THAT, IF
24 WE WANT TO DO THAT AND ACHIEVE THIS IN AFRICA, FOR
25 EXAMPLE, THEN WHAT WE ARE DOING RIGHT NOW IS NOT THE

BETH C. DRAIN, CA CSR NO. 7152

1 RIGHT WAY. WHAT WE'D BE DOING IS DEVELOPING IN VIVO
2 GENE THERAPY WHERE YOU CAN INJECT THE MATERIAL AND
3 THEN WALK AWAY. BUT COLLECTING HEMATOPOIETIC STEM
4 CELLS, GIVING BUSULFAN IN ADVANCE, AND TRANSFUSION
5 IS REQUIRED, THIS IS NOT WHAT'S GOING TO MAKE THIS
6 READILY AVAILABLE. BUT WHAT IT IS IT'S A STEP IN
7 THE LONG-TERM PIPELINE OF PLANS. MAYBE WE NEED TO
8 PRESENT THAT TOO, AT LEAST IN TERMS OF THE GWG.
9 THIS IS STEP ONE IN A LONGER PHASE STUDY, AND THE
10 FDA LIKES THAT AS WELL. JUST A COMMENT.

11 CO-CHAIRMAN KAHN: LET ME INTERJECT HERE
12 JUST BECAUSE I WANT TO CHECK TIME. GEOFF LOMAX,
13 WHERE ARE WE? HOW MANY MORE COMMENTS CAN WE TAKE?

14 DR. LOMAX: TIME IS NOT A CONSIDERATION,
15 BUT WE DO HAVE ONE OTHER SORT OF SHORT SET OF
16 COMMENTS FROM ANOTHER CLINICIAN THAT WE THOUGHT
17 WOULD COMPLEMENT THIS, DR. FARMER. SO I'D LIKE
18 TO -- MAYBE WE COULD TAKE ONE OR TWO MORE COMMENTS
19 AND THEN INVITE HER TO MAKE SOME COMMENTS JUST TO
20 KIND OF GIVE A CONTRAST JUST SO WE A LITTLE MORE
21 DIVERSITY IN TERMS OF THE CLINICAL PROFILES WE'RE
22 LOOKING AT HERE. SO IF THAT'S OKAY, COULD WE TAKE
23 THE LAST TWO COMMENTS AND THEN SEE IF DR. FARMER
24 WOULD LIKE TO COMMENT?

25 CO-CHAIRMAN KAHN: THAT SOUNDS GOOD.

BETH C. DRAIN, CA CSR NO. 7152

1 OKAY. CHRIS AND THEN MARIA. CHRIS.

2 DR. SAHA: SURE. I THINK, AKSHAY, THAT
3 WAS A REALLY NICE PRESENTATION. I ALMOST ENTIRELY
4 AGREE WITH A LOT OF THE CRITIQUES AND COMMENTARY
5 HERE. I WAS JUST WONDERING ALSO OF MAKING A COMMENT
6 HERE, THAT WE MIGHT BE ASKING TOO MUCH OUT OF
7 CONSENT IN THAT THE KIND OF OTHER TOOLS THAT ARE
8 AVAILABLE HERE ARE RICH AND INTERESTING. AND
9 PERHAPS COLLECTIVELY WE CAN CREATIVELY THINK OF THAT
10 TOOL SET AS BEING PART OF AN INFRASTRUCTURE THAT
11 COMPLEMENTS AND BUILDS UPON THE ALPHA CLINICS.

12 AND SO THERE'S MANY REASONS FOR WHY ALMOST
13 EVERYONE IMMEDIATELY GOES TO CONSENT, BUT COULDN'T
14 WE THINK ABOUT DEFINING CURE IN THE INDIVIDUAL
15 FASHION FOR ANY TYPE OF PRODUCT THAT COMES OUT OF AN
16 ALPHA CLINIC? THAT DOESN'T HAVE TO BE LEGALLY
17 BINDING, BUT IT COULD BE A BEST PRACTICE OR
18 STANDARD.

19 AND I JUST THINK HOW I SEE THIS TYPE OF
20 CONCERNS AS BEING AN EXTRA SET OF INFRASTRUCTURE,
21 MAYBE NOT PHYSICAL INFRASTRUCTURE, BUT ETHICAL
22 INFRASTRUCTURE THAT BUILDS UPON THE ALREADY
23 INTERESTING AND CONSIDERABLE INVESTMENT THAT CIRM
24 HAS MADE ON THE ALPHA CLINICS.

25 DR. SHARMA: I COMPLETELY AGREE WITH YOU,

BETH C. DRAIN, CA CSR NO. 7152

1 CHRIS. AND THAT'S WHAT I SORT OF ENVISIONED WHEN I
2 SAY INFORMED CONSENT 2.0 IS THAT THERE WILL BE THIS
3 HUGE INFRASTRUCTURE, WHETHER THAT IS IN THE FORM OF
4 WEBSITES, APPS, BOOKS, AUDIOVISUAL AIDS THAT
5 PATIENTS CAN NAVIGATE THROUGH AT THEIR OWN LEISURE,
6 WHICH WILL BE SUPPORTED BY PATIENTS, SCIENTISTS,
7 CLINICIANS LIKE OURSELVES AND PROVIDE A MORE
8 BALANCED APPROACH TO THE PATIENTS ABOUT WHAT THEY
9 REALLY NEED. AND THAT WILL SUPPLEMENT THE INFORMED
10 CONSENT, THE PAPER-BASED INFORMED CONSENT THAT WE
11 HAVE RIGHT NOW. SO COMPLETELY AGREE WITH WHAT YOU
12 JUST SAID.

13 DR. SAHA: JUST ONE QUICK COMMENT ON THAT.
14 I KNOW ASPCT HAS ALREADY STARTED ON THIS IN SOME
15 WAY. I'M JUST CURIOUS, I GUESS, TO THE ENTIRE GROUP
16 WHAT WOULD BE DIFFERENT AND SPECIAL, SPECIFIC TO
17 CALIFORNIA, FOR INSTANCE, OR AUGMENTED ON TOP OF
18 WHAT THEY'RE DOING AT THE NATIONAL LEVEL. THAT'S
19 ALL I HAD. THANKS.

20 CO-CHAIRMAN KAHN: IT'S A QUESTION WE
21 SHOULD CONTINUE TO TALK ABOUT. THANK YOU FOR ASKING
22 IT, CHRIS. AND HOW CAN CALIFORNIA CONTRIBUTE AND
23 CIRM CONTRIBUTE, I GUESS, MAY BE ONE WAY TO PUT IT.

24 DR. MILLAN, YOU GET THE LAST WORD, I
25 THINK, AT THIS POINT.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. MILLAN: THANK YOU SO MUCH. AND
2 REALLY APPRECIATE THIS CONVERSATION BECAUSE THAT "C"
3 WORD, THAT CURE WORD WAS SOMETHING THAT WE GRAPPLED
4 WITH YEARS AGO WHEN WE HAD THE INITIAL DATA FROM THE
5 ADA-SCID TRIAL. WE ARE BALANCING HOW DO WE INFORM
6 THE PUBLIC IN TERMS OF WHAT THE PROGRESS HAS BEEN
7 WITH THE DOLLARS THAT THEY'VE INVESTED INTO THIS
8 RESEARCH, AND IS IT REALLY GOING ANYWHERE. WE'RE
9 SHORT OF HAVING ANY APPROVED PRODUCTS. IT'S REALLY
10 DIFFICULT TO ARTICULATE SCIENTIFIC PROGRESS.

11 SO WE ARE VERY WELCOMING OF ANY TYPE OF
12 DIRECTION THAT WE CAN TAKE SO THAT WE HAVE A BETTER
13 ARTICULATED RATIONALE FOR WHY IN CERTAIN CASES YOU
14 WOULD USE THAT WORD. IN MOST CASES OUR
15 COMMUNICATIONS TEAM, WE WORK VERY DILIGENTLY TO MAKE
16 SURE THAT WE REPRESENT WHEN THERE'S PROMISING DATA,
17 WHAT DOES THAT PROMISING DATA MEAN. SO THEY MAY
18 BE -- AND TRYING TO PUT IT IN A DIGESTIBLE FORMAT SO
19 THAT THE GENERAL PUBLIC UNDERSTANDS WHAT THE INTERIM
20 PROGRESS IS.

21 SO THAT'S ONE THING BECAUSE WE ALSO
22 STRUGGLE WITH MAKING SURE THAT WE ARE RESPONSIBLE
23 FOR REPORTING OUT TO THE PUBLIC. BUT ANOTHER TREND
24 THAT'S HAPPENING IS MORE AND MORE EARLY RESULTS WITH
25 THE FIRST PATIENT DOSE OR THE FIRST COUPLE OF

BETH C. DRAIN, CA CSR NO. 7152

1 PATIENTS DOSE ARE BEING PUBLICIZED, AND THEY'RE
2 ACTUALLY BEING PUBLISHED. AND PUBLISHERS THEMSELVES
3 IN THE HIGH IMPACT SCIENTIFIC JOURNALS DO BELIEVE
4 IT'S IMPORTANT BECAUSE NOW THEY'RE EMBRACING THE
5 TRANSLATIONAL IMPACT OF THE SCIENCE AND
6 UNDERSTANDING KIND OF THAT CONTINUUM.

7 SO WE ARE IN A VERY INTERESTING AND
8 IMPORTANT PHASE RIGHT NOW. AND DEFINING IT AS WAS
9 PROPOSED, USING MECHANISMS AS CHRIS HAD ALLUDED TO
10 IN TERMS OF MAKING SURE THAT WHEN WE HAVE
11 OPPORTUNITIES WITHIN OUR GRANTS OR WITHIN OUR ALPHA
12 CLINICS OR INSTITUTIONS THAT WE FUND TO GET SOME
13 ALIGNMENT IN TERMS OF USE OF TERMS OR APPROACHES TO
14 HOW THE PATIENTS ARE INFORMED IN TERMS OF WHAT THE
15 TRUE VALUE PROPOSITION IS FOR THEM ENTERING A TRIAL.
16 I THINK THAT'S REALLY IMPORTANT. SO THANK YOU FOR
17 TODAY'S DISCUSSION.

18 CO-CHAIRMAN KAHN: FRED, I THINK AS
19 CO-CHAIR YOU GET TO HAVE THE LAST, LAST WORD.

20 CO-CHAIRMAN FISHER: WELL, WE CAN PUT A
21 PIN IN THIS. I THINK THIS GROUP CAN BE HELPFUL TO
22 CIRM ITSELF BECAUSE CIRM HAS HAD CHALLENGES AROUND
23 THE WORD "CURE." CIRM JUSTIFIED ITS EXISTENCE ON
24 THE DISCOVERY OF CURES. AND SO CIRM IN ITS OWN WAY
25 MIGHT HAVE CONTRIBUTED OR AS PART OF THE SPACE IS AS

BETH C. DRAIN, CA CSR NO. 7152

1 GUILTY AS ANYONE ELSE FOR SORT OF HYPING THE CURES
2 THAT WILL COME OUT OF THIS \$5.5 BILLION INITIATIVE.

3 AND SO AS WE HAVE THIS CONVERSATION, IN
4 ADDITION TO SORT OF LOOKING OUTWARD INTO THE SPACE,
5 THIS GROUP CAN BE HELPFUL AT HELPING US IN LOOK
6 INWARD IN TERMS OF HOW WE EVOLVE OUR OWN NARRATIVE
7 AROUND THESE THINGS IN A WAY THAT, NO. 1, MAINTAINS
8 THE PUBLIC SUPPORT FOR WHAT WE ARE DOING, BUT DOES
9 IT IN AN HONEST AND TRANSPARENT WAY.

10 DR. LOMAX: I WAS JUST LOOKING. DO WE
11 HAVE DR. FARMER ON THE ZOOM? I'M JUST CHECKING.
12 I'M JUST WONDERING IF SHE'S BEEN ABLE TO JOIN. IS
13 THERE ANYONE DIALING IN ON THE COMMENT SIDE?
14 OTHERWISE WE CAN JUST CONTINUE WITH THE CURRENT
15 DISCUSSION.

16 DR. WAGNER: ONE EXAMPLE THAT JUST CAME
17 OUT THIS WEEK ON THIS PARTICULAR TOPIC OF SICKLE
18 CELL DISEASE AND THALASSEMIA WAS THE JASPER
19 ANNOUNCEMENT. IT CAME ON JANUARY 3D. I THINK,
20 FRED, IT GETS TO YOUR POINT WHICH YOU WERE MAKING
21 EARLIER, THAT EVERYTHING WAS WRITTEN ACCURATELY, BUT
22 YOU COULD IMAGINE HOW THE PUBLIC WOULD VIEW IT
23 DIFFERENTLY. SO THIS IS WITH AN ANTIBODY TO IMPROVE
24 CONDITIONING OR TO MAKE CONDITIONING SAFER. AND IN
25 THE CONTEXT OF HEMOGLOBINOPATHY, THERE WERE THREE

BETH C. DRAIN, CA CSR NO. 7152

1 PATIENTS THAT RECEIVED THE JASPER ANTI-C-KIT PRODUCT
2 WHICH WAS DIRECTED AT ERADICATING RESIDUAL HOST
3 CELLS. AND THAT'S ONE WAY OF GETTING RID OF THE
4 ABERRANT CELL POPULATION WITHOUT GIVING ADDITIONAL
5 CHEMOTHERAPY.

6 THE WAY THE PRESS RELEASE IS WRITTEN, IT
7 DOES MAKE IT SOUND LIKE THAT THEY DEMONSTRATED
8 SOMETHING -- WELL, THE TITLE IS "JASPER'S
9 THERAPEUTIC ANNOUNCES POSITIVE CLINICAL DATA FROM
10 PHASE 1-2 TRIAL OF BRIQUILIMAB AS A CONDITIONING
11 TREATMENT FOR SICKLE CELL DISEASE AND THALASSEMIA."
12 AND THEN THE CEO OF JASPER SAYS, "WELL, STEM CELL
13 INFUSIONS WOULD HELP THE DONOR CELLS OR
14 GENE-CORRECTED CELLS AS A POTENTIALLY CURATIVE
15 OPTION FOR SICKLE CELL AND BETA THALASSEMIA. THEY
16 ARE BOTH LIMITED BY THE TOXICITY OF THE CURRENT
17 CONDITIONING REGIMENS USING BUSULFAN OR MELPHALAN,
18 WHICH ARE OFTEN CITED AS THE MOST CONCERNING SAFETY
19 RISKS BY TRANSPLANT PATIENTS AND PHYSICIANS. WITH
20 THE ANTIBODY, WE HOPE TO OFFER A HIGHLY TARGETED
21 CONDITIONING TO DIRECTLY ADDRESS CONDITIONING
22 TOXICITY, A BARRIER LIMITING THE ABILITY OF PATIENTS
23 TO ACCESS CURATIVE HEMATOPOIETIC STEM CELL
24 THERAPIES."

25 NOW, THE WAY THE TRIAL WAS DESIGNED WAS

BETH C. DRAIN, CA CSR NO. 7152

1 REALLY ADDING THIS ANTIBODY TO ANOTHER
2 NON-MYELOABLATIVE CONDITIONING AGENT. IT'S ONLY IN
3 SEVERAL PATIENTS. I DON'T KNOW HOW MANY PATIENTS
4 HAD SICKLE CELL VERSUS BETA THALASSEMIA. BUT YOU
5 CAN IMAGINE HOW THE PATIENTS WITH SICKLE CELL AND
6 BETA THALASSEMIA SEE, OH, I CAN GET RID OF BUSULFAN,
7 WHICH IS NOT EXACTLY WHAT THEY SHOWED. IS THE
8 RESULT INTERESTING? YES, IT'S VERY INTERESTING
9 BECAUSE THEY GOT RID OF MIXED CHIMERISM AT LEAST IN
10 A COUPLE OF PATIENTS, BUT THAT'S A NUANCE THAT THE
11 PUBLIC WOULD NEVER CATCH.

12 SO HOW WE PRESENT THAT BEST, WE'VE ALREADY
13 TALKED ABOUT THAT, BUT IT'S JUST APPROPRIATE FOR
14 TODAY'S DISCUSSION. THIS JUST OCCURRED A FEW DAYS
15 AGO.

16 DR. SHARMA: I WOULD ALSO ADD THAT SOME OF
17 THE ENDPOINTS, YOU MIGHT HAVE NOTICED, DR. WAGNER,
18 THEY TALKED ABOUT A TWO-MONTH CHIMERISM RESULT OVER
19 THERE. IF WE DELVE DEEP INTO THE DATA, TWO-MONTH
20 CHIMERISM DOESN'T REALLY MEAN MUCH. I MEAN YOU HAVE
21 TO LOOK AT LONG TERM. AND SO SAYING THAT SOMETHING
22 WAS A HUNDRED PERCENT AT TWO MONTHS, IT LOOKS GOOD,
23 BUT THE REAL PRIZE IS AT ONE YEAR AND TWO YEAR. AND
24 SO, AGAIN, MASSAGING THE DATA A LITTLE BIT, YOU
25 MIGHT BE ABLE TO PUBLICIZE, SIMILAR TO WHAT MARIA

BETH C. DRAIN, CA CSR NO. 7152

1 WAS SAYING EARLIER, WHO CONTROLS THE FLOW OF THIS
2 INFORMATION TO THE PUBLIC? EVERYBODY INVOLVED IN
3 THESE, EITHER THE MEDIA OR THE COMPANIES, THEY SORT
4 OF ALL WANT TO PROJECT THE POSITIVE INFORMATION.

5 AND LIKE YOU MENTIONED EARLIER, OF COURSE,
6 AS CLINICIANS AND SCIENTISTS, WE WANT TO BE AS
7 ACCURATE AS POSSIBLE, AND THERE WAS NOTHING WRONG IN
8 THAT PRESS RELEASE. IT WAS ALL ACCURATE, BUT STILL
9 IT WAS HYPERBOLIC, AND IT CAN MISLEAD DEFINITELY
10 PATIENTS, BUT ALSO POTENTIALLY OTHER CLINICIANS WHO
11 MAY NOT BE AS DISCERNING OF THESE DATA.

12 DR. LOMAX: DR. GOLDSTEIN, DO YOU HAVE A
13 COMMENT?

14 DR. GOLDSTEIN: YEAH. I DO. WHILE IT'S
15 ALL WELL AND GOOD TO BEAT UP ON OUR CLINICAL
16 COLLEAGUES, THEY CERTAINLY DO WRITE PRESS RELEASES
17 THAT ARE SOMETIMES SUSPECT. I DON'T THINK WE'RE
18 FREE OF THAT IN THE ACADEMIC SECTOR EITHER. YOUNG
19 SCIENTISTS AND CLINICIANS ARE TRYING TO GET TENURE.
20 MORE EXPERIENCED SCIENTISTS ARE TRYING TO GET GRANTS
21 OR ELECTED TO ACADEMIES OF ONE SORT OR ANOTHER. I
22 DON'T THINK YOU'RE EVER GOING TO COMPLETELY
23 ERADICATE THE PROBLEM OF BIAS.

24 I THINK A BETTER WAY TO TACKLE THE PROBLEM
25 IS TO ASK HOW DO YOU BEST GET GOOD INFORMATION OUT

BETH C. DRAIN, CA CSR NO. 7152

1 TO PATIENTS. AND I THINK AT THE MOMENT WE DON'T
2 HAVE THAT MANY AVENUES. PATIENT ADVOCACY GROUPS ARE
3 ONE REALLY IMPORTANT WAY OF DOING IT. REPUTABLE
4 SOURCES, SUCH AS CIRM, WHICH I HOPE IS A TRUSTED
5 SOURCE, ALTHOUGH THE PROBLEM WITH THE LANGUAGE OF
6 CURES WAS JUST POINTED OUT A FEW MINUTES AGO. IT'S
7 SOMETHING THAT WE ARE ALL GOING TO HAVE TO
8 COLLECTIVELY WORK ON OVER TIME BY INFLUENCING
9 OURSELVES AND INFLUENCING OUR COLLEAGUES.

10 DR. LOMAX: MAYBE I CAN JUST ADD. I WON'T
11 BOTHER PULLING UP THE SLIDE. WE HAD A -- ACTUALLY
12 TO SORT OF TEE UP THIS CONVERSATION, WE DID HAVE A
13 SET OF QUESTIONS. AND I JUST WANTED TO PUT THEM
14 BACK OUT THERE TO MAKE SURE WE'VE COVERED THAT
15 GROUND OR TO PUT THEM OUT THERE FOR THE FIRST TIME.

16 TWO OF THE QUESTIONS IN PARTICULAR THAT WE
17 HAD FOR THIS GROUP, AND THEY WERE ALREADY ALLUDED
18 TO, I THINK THE ASGCT EFFORTS. ARE THERE EXISTING
19 EITHER RESEARCH INITIATIVES THAT COULD BENEFIT FROM
20 ADDITIONAL -- INCREASING THE DENOMINATOR, IF YOU
21 WILL, IN CALIFORNIA BECAUSE WE HAVE PATIENTS OR DE
22 NOVO ETHICS POLICY RESEARCH NEEDS BECAUSE WE HAVE
23 THE OPPORTUNITY TO SUPPORT RESEARCH. ARE THERE
24 THINGS WE CAN DO AT MORE OF AN EMPIRICAL LEVEL TO
25 EITHER SUPPORT EXISTING INITIATIVES OR TO ADDRESS

BETH C. DRAIN, CA CSR NO. 7152

1 QUESTIONS THAT ARE BEING DISCUSSED AMONGST -- I KNOW
2 THERE'S A LOT OF -- I KNOW THIS CONVERSATION IS
3 GOING ON INTERNATIONALLY.

4 SO THAT WAS A COUPLE OF THE QUESTIONS WE
5 HAD FOR YOU. I DON'T KNOW IF WE'VE EXHAUSTED THOSE
6 COMMENTS, OR OF THERE'S COMMENT THAT COULD BE ADDED.

7 CO-CHAIRMAN KAHN: AKSHAY, GO AHEAD.

8 DR. SHARMA: SO I GUESS I CAN ANSWER THAT.
9 SO NIH STARTED -- NHGRI ACTUALLY STARTED THIS
10 ENDEAVOR CALLED "DEMOCRATIZING SCD" SOMETIME LAST
11 YEAR. THEY DID SOMETHING VERY SIMILAR. THEY GOT
12 TOGETHER A BUNCH OF CLINICIANS, PATIENTS, ADVOCATES,
13 PHARMACEUTICAL INDUSTRY REPRESENTATIVES, AND THEY
14 ASKED THE QUESTION: WHAT DO YOU WANT TO HAVE IN AN
15 EDUCATIONAL INFORMED CONSENT DOCUMENT AROUND SICKLE
16 CELL DISEASE? AND SO BY DOING THAT, THEY'RE ALREADY
17 SORT OF WORKING ON DEVELOPING PATIENT EDUCATION
18 MATERIALS, WHICH IS ONE SUCH EXERCISE.

19 OUR GROUP, LED BY MYSELF AND DR. LIZA
20 JOHNSON HERE, WE HAVE BEEN WORKING ON SOMETHING
21 SIMILAR IN DESIGNING CARTOONS AND AUDIOVISUAL AIDS
22 WHICH WILL HELP PATIENTS NAVIGATE THROUGH THIS WHOLE
23 PATHWAY AS WELL. AND SO THERE ARE MULTIPLE
24 DIFFERENT EFFORTS WHICH I THINK, JEFF, AS WAS
25 ALLUDED TO IN THE DISCUSSION IN THE MORNING, I THINK

BETH C. DRAIN, CA CSR NO. 7152

1 YOU HAD SAID THAT ONE PLUS ONE EQUALS THREE. I
2 THINK THAT IS DEFINITELY WHAT WE SHOULD ENVISION AND
3 TRY TO DO OVER HERE BY CONNECTING DIFFERENT
4 STAKEHOLDERS AND DIFFERENT GROUPS DEVELOPING THESE
5 RESOURCES, POTENTIALLY PARTNERING WITH ALL THE ALPHA
6 CLINICS THAT YOU HAVE, SO THAT YOU CAN INVOLVE BOTH
7 PATIENTS AS WELL AS CLINICIANS AND OTHERS FROM ALL
8 THESE AVENUES, THAT YOU CAN DEVELOP MATERIAL WHICH
9 IS GLOBAL IN ORIGIN AND APPEAL AND WHICH HAS A MUCH
10 DIVERSE VIEWPOINT AND INFORMATION THAT THEN IS
11 APPLICABLE TO MORE THAN JUST A FEW HUNDRED OR FEW
12 THOUSAND PATIENTS. DOES THAT MAKE SENSE?

13 CO-CHAIRMAN KAHN: YEAH. IT DEFINITELY
14 MAKES SENSE. THIS IS THE KIND OF BRAINSTORMING I
15 THINK GEOFF IS ASKING US TO DO.

16 MY ANSWER TO YOUR QUESTION, GEOFF, IS THAT
17 I'M NOT FEELING LIKE I KNOW A HUNDRED PERCENT ABOUT
18 WHAT'S HAPPENING ELSEWHERE. SO I DON'T KNOW HOW WE
19 MIGHT GO ABOUT TRYING TO COLLECT INFORMATION ABOUT
20 THAT. HOW CAN WE COLLABORATE WITH THINGS? WE HEARD
21 ABOUT ASCGT WHICH I THINK WE ALL KNOW, AND AKSHAY
22 JUST MENTIONED SOME NHGRI INITIATIVES. IT WOULD BE
23 GOOD FOR US TO TRY FIGURE OUT IF WE CAN GET A LIST
24 OR A COLLECTION OF WHAT'S HAPPENING ELSEWHERE THAT
25 WE MIGHT THEN BUILD ON AND/OR COLLABORATE WITH.

BETH C. DRAIN, CA CSR NO. 7152

1 GEOFF, DID WE LOSE YOU?

2 DR. MILLAN: WE LOST GEOFF.

3 CO-CHAIRMAN KHAN: LOOKS LIKE IT.

4 DR. MILLAN: I THINK THAT THIS IS A REALLY
5 GOOD DISCUSSION. WE SHOULD -- THE TEAM WILL FOLLOW
6 UP WITH CHRIS IF YOU CAN CONNECT US AT ASCGT AND
7 AKSHAY AT THE NHGRI SO WE CAN HAVE A LOOK AT WHAT'S
8 ALREADY IN PLACE AND EVALUATE THAT. GEOFF, I WAS
9 FILLING IN. THERE YOU ARE.

10 DR. LOMAX: I'M SORRY. THERE WAS A MINOR
11 DISASTER HERE, BUT I'M BACK.

12 DR. MILLAN: BUT I DID COMMIT US TO MAKING
13 SURE THAT WE FOLLOWED UP WITH THE INITIATIVES THAT
14 ARE ALREADY ONGOING AT ASGCT AND AT NHGRI AND
15 IDENTIFY OTHER INITIATIVES, AS PER DR. KAHN'S
16 RECOMMENDATION, TO REALLY DO SOME INTELLIGENCE
17 GATHERING AND THEN GO FROM THERE REGARDING THIS MOST
18 RECENT TOPIC.

19 CO-CHAIRMAN KAHN: I DON'T KNOW IF YOU
20 HEARD THAT PART, GEOFF. JUST TRYING TO FIGURE OUT A
21 BETTER SENSE OF THE WATERFRONT.

22 DR. LOMAX: I GOT THAT. JUST ONE OTHER
23 THING TO NOTE. I THINK WE DID WANT TO OFFER THE
24 OPPORTUNITY IF THERE ARE COMMENTS. I THINK SOME OF
25 THE OTHER STAKEHOLDERS MAYBE MAY HAVE PUBLIC COMMENT

BETH C. DRAIN, CA CSR NO. 7152

1 OR STAKEHOLDER COMMENTS. JUST TO GIVE FOLKS A
2 HEADS-UP, THAT WE ARE HAPPY TO TAKE COMMENTS DURING
3 THIS SEGMENT AS WELL. I KNOW SOMETIMES IT TAKES
4 PEOPLE A FEW MINUTES TO NAVIGATE THE PUBLIC COMMENT
5 SYSTEM. SO IF YOU WOULD LIKE TO MAKE A COMMENT ON
6 THIS SEGMENT, PLEASE FEEL FREE TO DO SO AND WE'LL
7 ENTERTAIN THOSE AS WELL. PAUSE HERE. IF FOLKS SORT
8 OF MONITORING THE DIAL-IN SITE, DO WE HAVE ANY
9 COMMENTS? MARIVEL, DO YOU KNOW?

10 MS. DE LA TORRE: I HAVEN'T SEEN ANY
11 COMMENTS AS OF YET, BUT I'LL LET YOU KNOW.

12 MS. DEQUINA-VILLABLANCA: NEITHER HAVE I.

13 DR. LOMAX: OKAY. LIKE I SAY, THAT'S AN
14 OPEN OFFER. ARE THERE OTHER COMMENTS OR SUGGESTIONS
15 FOR THIS SEGMENT? IF THAT'S THE CASE, WE CAN TAKE A
16 SHORT BREAK. I THINK WE HAD A 15-MINUTE BREAK
17 SCHEDULED FOR THE CONCLUSION OF THIS SEGMENT. THAT
18 WOULD PUT US AT 1 O'CLOCK. SO WE COULD CONVENE AT 1
19 O'CLOCK AND JUST HAVE SOME WRAP-UP DISCUSSION. I
20 THINK WE'LL AT THIS POINT PROBABLY BE FINISHED
21 CERTAINLY BEFORE 2 O'CLOCK, PERHAPS 1:30 AT THIS
22 TIME. SO IF THAT'S OKAY, WE CAN RECONVENE IN 15
23 MINUTES.

24 CO-CHAIRMAN KAHN: GREAT. THANK YOU.

25 (A RECESS WAS TAKEN.)

BETH C. DRAIN, CA CSR NO. 7152

1 DR. LOMAX: WE'RE BACK AT THE TOP OF THE
2 HOUR. QUESTION, ASSUMING NO ONE TRIED TO COME IN TO
3 COMMENT; IS THAT CORRECT?

4 MS. DEQUINA-VILLABLANCA: YES.

5 DR. LOMAX: WE HAVE PUBLIC COMMENT SLATED
6 FOR THE END OF THE MEETING AS WELL. SO FOLKS ARE
7 AWARE.

8 SO ONE LAST PRESENTATION. THE SLIDES ARE
9 COMING UP. AN OVERVIEW OF OUR POLICY FRAMEWORK
10 THAT GUIDES OUR MEDICAL AND ETHICAL STANDARDS. THE
11 END GAME REALLY IS TO CONSIDER -- IS TO CONSIDER IF
12 THERE ARE THINGS WE NEED TO BE THINKING ABOUT
13 LOOKING FORWARD. I MENTION THAT BECAUSE, AFTER SUCH
14 A ROBUST DISCUSSION ABOUT THE CONSENT ISSUES, IT'S
15 SOMETIMES A LITTLE TRICKY SEGUE TO GET BACK INTO
16 REGULATIONS, BUT WE DO WANT TO JUST GET YOUR INPUT
17 IN TERMS OF THINGS WE NEED TO BE THINKING ABOUT. SO
18 I'LL TRY TO MOVE THROUGH THIS FAIRLY QUICKLY, AND
19 WE'LL GET TO DISCUSSION. NEXT SLIDE.

20 LET'S GO TO THE NEXT SLIDE. WE COVERED
21 THAT. AND ONE MORE PLEASE. SO WE'VE CIRCULATED A
22 COUPLE OF TIMES AN OVERVIEW OF OUR MEDICAL AND
23 ETHICAL STANDARDS. AND ALTHOUGH THIS DOCUMENT IS A
24 BIT DATED, I THINK WE CAN STILL -- IT DOES A PRETTY
25 NICE JOB OF LAYING OUT THE FACT THAT FUNDAMENTALLY

BETH C. DRAIN, CA CSR NO. 7152

1 CIRM IS COMMITTED TO THE RESPONSIBLE OVERSIGHT OF
2 RESEARCH AND THAT WE'VE DEVELOPED PARTICULAR
3 POLICIES AND STANDARDS TO SUPPORT BEST-IN-CLASS
4 RESEARCH. AND WE'VE DONE THAT IN A WAY THAT'S
5 ALIGNED WITH FEDERAL POLICY TO A LARGE EXTENT
6 BECAUSE THAT MAKES FOR EFFICIENCY AND EFFECTIVENESS.
7 WE ARE NOT TRYING TO OVERBURDEN THE RESEARCH
8 ENTERPRISE EITHER. SO NEXT SLIDE PLEASE.

9 SO FUNDAMENTALLY OUR POLICIES THAT WE HAVE
10 DEVELOPED AND CONTINUE TO MODIFY AND MAINTAIN ARE
11 REALLY A SET OF POLICIES THAT WERE DEVELOPED BY THE
12 NATIONAL ACADEMIES THROUGH THEIR HUMAN EMBRYONIC
13 STEM CELL RESEARCH GUIDELINES AND REALLY JUST TO
14 FILL GAPS IN FEDERAL POLICY FOR WHICH THERE WAS
15 CONSENSUS THERE NEEDED TO BE OVERSIGHT AND GUIDANCE
16 TO THE RESEARCH COMMUNITY. SO, AGAIN, THOSE WERE
17 ACTIVITIES THAT WOULD NOT BE COVERED UNDER FEDERAL
18 POLICY AND, FOR THE MOST PART, INVOLVE EMBRYO
19 RESEARCH, THE USE OF HUMAN EMBRYONIC STEM CELL LINES
20 AND THEIR DERIVATION. FEDERAL POLICY NOW ALLOWS FOR
21 BROADER USE OF THE STEM CELL LINES, BUT STILL
22 PROHIBITS THEIR DERIVATION. SO THE POLICIES REMAIN
23 RELEVANT. NEXT SLIDE PLEASE.

24 SO, AGAIN, THE SCOPE IS CIRM-FUNDED
25 PROJECTS AND ACTIVITIES. SO THESE ARE POLICIES THAT

BETH C. DRAIN, CA CSR NO. 7152

1 PERTAIN SPECIFICALLY TO OUR RESEARCH AWARDS.
2 HOWEVER, CALIFORNIA DID ADOPT GUIDELINES IN 2006
3 THAT APPLY THE CIRM POLICIES TO RESEARCH GENERALLY
4 IN THE STATE, BUT THEY ADOPTED THEM AS GUIDELINES.
5 SO IT'S MORE OF A GUIDANCE OPPOSED TO THE POLICIES
6 THAT CIRM PROMULGATES WHICH ARE TIED TO THE RESEARCH
7 AWARDS. SO IN A SENSE WE HAVE A VERY ACTIVE SYSTEM
8 FOR MONITORING AND ENSURING COMPLIANCE. NEXT SLIDE
9 PLEASE.

10 SO JUST A FEW THINGS THAT I THINK ARE
11 IMPORTANT THAT WE WOULD PUT IN THE CATEGORY OF
12 RESTRICTIONS. PROPOSITION 71 INITIALLY AND PROP 14
13 REITERATED THAT HUMAN REPRODUCTIVE CLONING IS IN NO
14 WAY ALLOWED USING CIRM FUNDING. AND IN ADDITION,
15 THERE'S A LIMIT ON THE CULTURE OF HUMAN EMBRYOS.
16 AND WHILE THE INTERNATIONAL CONSENSUS CURRENTLY IS
17 AT 14 DAYS, UNDER OUR RULES IT'S ACTUALLY A 12-DAY
18 LIMIT. SO SOMETHING TO BE AWARE OF.

19 AND THEN CONSISTENT WITH THE NATIONAL
20 ACADEMIES GUIDELINES, THERE'S A SET OF RESTRICTIONS
21 IN TERMS OF THE UTILIZATION OF HUMAN STEM CELLS,
22 PARTICULARLY THAT THEY NOT BE INTRODUCED INTO
23 PRIMATE EMBRYOS. THERE'S CURRENTLY A RESTRICTION ON
24 BREEDING OF ANIMALS IN WHICH HUMAN PLURIPOTENT STEM
25 CELLS HAVE BEEN INTRODUCED AND THE USE OF

BETH C. DRAIN, CA CSR NO. 7152

1 GENETICALLY MODIFIED EMBRYOS FOR HUMAN REPRODUCTION,
2 WHICH CURRENTLY IS THE CONSENSUS STANDARD, CONSENSUS
3 INTERNATIONALLY, AND CONTINUES TO BE. THIS IS
4 INVOLVING THE CRISPR TECHNOLOGIES. NEXT SLIDE
5 PLEASE.

6 AND IN TERMS OF HOW THESE RULES, THE
7 OPERATIONAL ASPECTS OF THESE RULES, WE CONTINUE TO
8 REQUIRE THAT A COMMITTEE, THE EMBRYONIC STEM CELL
9 RESEARCH OVERSIGHT COMMITTEE, REVIEW AND APPROVE
10 PROTOCOLS INVOLVING EITHER THE USE OF HUMAN OOCYTES
11 OR EMBRYOS. AND THIS WOULD -- IN ADDITION TO
12 OOCYTE/EMBRYO RESEARCH, THE SAME REVIEW AND
13 OVERSIGHT REQUIREMENT WOULD APPLY TO BLASTOCYST
14 COMPLEMENTATION STUDIES OR THE INTRODUCTION OF
15 NEURAL PROGENITORS INTO THE BRAINS OF NONHUMAN
16 ANIMALS. SO BULLET 2, THERE'S A SET OF SORT OF
17 HUMAN ANIMAL RESEARCH THAT IS ALSO SUBJECT TO REVIEW
18 AND OVERSIGHT.

19 AND OUR REQUIREMENT -- OUR POLICIES ALSO
20 INCLUDE REQUIREMENTS FOR RESEARCH INVOLVING HUMAN
21 OOCYTES. THEY'RE RATHER EXTENSIVE, SO I WON'T TRY
22 TO DESCRIBE THEM ALL DURING THIS PRESENTATION. NEXT
23 SLIDE PLEASE.

24 SO LET ME JUST STOP THERE. IF THERE'S ANY
25 QUESTIONS. I MENTIONED EARLIER WE'VE DONE EXTENSIVE

BETH C. DRAIN, CA CSR NO. 7152

1 EVALUATION. WE HAVE A PRETTY GOOD UNDERSTANDING OF
2 HOW INSTITUTIONS IMPLEMENTED, AND WE'VE DONE A SET
3 OF COMPLIANCE EVALUATIONS IN TERMS OF THE
4 IMPLEMENTATION OF THESE REGULATIONS. SO OUR
5 UNDERSTANDING IS THEY'RE EFFECTIVE. ALL OF OUR
6 INSTITUTIONS HAVE EFFECTIVE PROCEDURES AND POLICIES
7 FOR IMPLEMENTING THEM. IF THERE'S ANY QUESTIONS ON
8 THE SPECIFICS OF THE REGULATIONS, I'M HAPPY TO TAKE
9 THEM NOW. OTHERWISE, I'LL MOVE INTO THIS FINAL
10 DISCUSSION WHICH IS SORT OF THINKING ABOUT
11 CONTEMPORARY TOPICS. THE DAY IS WEARING ON.

12 SO THE FINAL PIECE WE WANTED TO DISCUSS
13 IS -- TODAY'S DISCUSSION WAS TERRIFIC. WE'VE
14 FOCUSED ON ONE FAIRLY LIMITED ASPECT OF THE RESEARCH
15 WHICH IS MORE AROUND THE CLINICAL RESEARCH. BUT
16 WITHIN THE MORE BASIC AND DISCOVERY RESEARCH SIDE,
17 THERE ARE ONGOING ISSUES THAT WE, AGAIN, CONTINUE TO
18 MONITOR AND WE THINK ARE IMPORTANT. THE HUMAN
19 GENOME EDITING INITIATIVE, THE CONTINUED DEVELOPMENT
20 OF EMBRYO MODEL SYSTEMS, THE DEVELOPMENT OF HUMAN
21 NEURAL ORGANIDS, SORT OF BRAINS IN A DISH IF YOU
22 WILL. AGAIN, BLASTOCYST COMPLEMENTATION RESEARCH.
23 IN ADDITION TO ISSUES AROUND GENETIC DATA SHARING
24 AND PRIVACY, UNAUTHORIZED TREATMENTS TO UNAPPROVED
25 TREATMENTS OR HIGH COST STEM CELL TREATMENTS WITH NO

BETH C. DRAIN, CA CSR NO. 7152

1 CLEAR SAFETY AND EFFICACY. AND ALSO SOME DISCUSSION
2 CAME UP AROUND CORD BLOOD BANKING AND THE EFFICACY
3 OF CORD BLOOD BANKING. MAINLY FROM A FINANCIAL
4 STANDPOINT IN TERMS OF WHAT THAT -- SO THOSE LAST
5 TWO ACTUALLY HAVE SORT OF CONSUMER SORT OF
6 PROTECTION ASPECTS, IF YOU WILL.

7 SO THESE ARE ITEMS THAT WE, AGAIN,
8 CONTINUE TO TRACK. I SORT OF MAKE THE COMMENT
9 FREQUENTLY WE'RE SORT OF ONE JOURNAL ARTICLE AWAY
10 FROM SOME INTERESTING NEW ETHICS DISCUSSION BECAUSE
11 SOMETHING COMES UP IN THESE SORT OF GENERAL
12 CATEGORIES. BUT WE WANTED TO ASK THE GROUP TO WHAT
13 EXTENT THESE ISSUES ARE -- THERE ARE ISSUES ON THE
14 HORIZON THAT THEY THINK MIGHT BE IMPLICATED OR MIGHT
15 NEED TO CONSIDER WITHIN THIS WORKING GROUP. SO
16 WANTED TO KIND OF OPEN UP THAT DISCUSSION AND ASK
17 EITHER J.T. OR THE CO-CHAIRS TOO IF THEY'D LIKE TO
18 ADD SOME COLOR TO THIS SLIDE.

19 CO-CHAIRMAN KAHN: I CAN START. THE IDEA,
20 I THINK, WAS A LITTLE PRIMING OF THE PUMP. SO AS
21 GEOFF SAID, IN PREPARATION FOR THIS MEETING, GEOFF
22 AND FRED AND J.T. AND I HAVE HAD NUMEROUS
23 CONVERSATIONS. AND THESE ARE THE THINGS THAT SORT
24 OF PERCOLATED TO THE TOP. BUT THE QUESTION FOR THE
25 GROUP IS WHETHER MANY OF THESE REALLY FEEL LIKE

BETH C. DRAIN, CA CSR NO. 7152

1 IMPORTANT THINGS TO CONTINUE TO TAKE ON AND/OR OTHER
2 THINGS THAT WE DON'T HAVE ON THIS LIST THAT ARE
3 WORTH ADDING TO A LIST OF TOPICS THAT WE CAN TAKE ON
4 IN THE COURSE OF OUR FUTURE MEETINGS. NOT MEANT TO
5 DIVE INTO ANY OF THEM NOW. REALLY A KIND OF
6 BEGINNING OF A LIST OF ISSUES FOR US TO ADDRESS AS A
7 GROUP.

8 I TALKED LONG ENOUGH FOR J.T. TO RAISE HIS
9 HAND.

10 DR. FISHER: OH, GOOD. I'LL GO AFTER J.T.

11 CO-CHAIRMAN KAHN: J.T., YOU'RE MUTED.

12 WE'RE STILL HAVING A PROBLEM HEARING YOU. CAN YOU
13 COME IN THROUGH THE PHONE CONNECTION?

14 DR. FISHER: JUST TURN OFF YOUR COMPUTER
15 AUDIO, YOUR COMPUTER SPEAKERS OFF, AND WE WON'T GET
16 FEEDBACK.

17 CHAIRMAN THOMAS: DOES THAT WORK?

18 CO-CHAIRMAN KAHN: THERE YOU GO.

19 CO-CHAIRMAN FISHER: THAT WORKS.

20 CHAIRMAN THOMAS: SORRY FOR ALL THIS
21 TECHNOLOGICAL CHALLENGES.

22 SO THE IDEA HERE IS THE TECHNOLOGY IS
23 ALWAYS DEVELOPING TO DO NEW THINGS. AND OVER THE
24 YEARS CIRM HAS GRAPPLED WITH THOSE ISSUES AS THEY
25 HAVE ARISEN. SO THE POINT OF THIS SLIDE WAS ARE

BETH C. DRAIN, CA CSR NO. 7152

1 THERE TOPICS THAT THE WORKING GROUP SEES EITHER
2 CURRENTLY OR IN THE OFFING THAT WOULD BE GOOD
3 SUBJECT MATTER FOR A DISCUSSION AT THE NEXT MEETING
4 SEVERAL MONTHS DOWN THE ROAD.

5 SO WHAT GEOFF HAS PUT UP HERE IS SORT OF
6 SOME OF THE ISSUES THAT WE ARE LOOKING AT AT THIS
7 POINT; BUT ARE THERE, PARTICULARLY IN THE SCIENTIFIC
8 REALM, ARE THERE TECHNIQUES THAT WARRANT DISCUSSION
9 FOR THIS WORKING GROUP OR THINGS THAT YOU SEE COMING
10 DOWN THE PIKE YOU MIGHT ANTICIPATE. SO THAT'S WHAT
11 WE ARE LOOKING FOR HERE.

12 CO-CHAIRMAN KAHN: THANKS. WANT ME TO
13 FIELD, GEOFF, OR YOU WANT TO DO IT?

14 DR. LOMAX: GO AHEAD.

15 CO-CHAIRMAN KAHN: ACCORDING TO THE WAY I
16 SEE IT, IT LOOKS LIKE AKSHAY AND BENHUR, AND I
17 THOUGHT FRED HAD HIS HAND RAISED EARLIER. MAYBE
18 HE'LL COME BACK. AKSHAY, GO AHEAD.

19 DR. SHARMA: SO I THINK THIS IS A GREAT
20 LIST HERE. THERE'S ALWAYS THIS CONTROVERSIAL TOPIC
21 OF GERMLINE GENOME EDITING THAT WE MENTION, BUT WE
22 SKIRT AROUND IT. WE NEVER ACTUALLY GET TO
23 DISCUSSING IT. AND IT SEEMS LIKE WE HAVE SUCH A
24 DIVERSE GROUP OF FOLKS OVER HERE WHO THIS TOPIC
25 SEEMS RIPE FOR DISCUSSION. OF COURSE, I WOULDN'T

BETH C. DRAIN, CA CSR NO. 7152

1 PUT IT ON THE TOP OF THIS LIST, BUT SOMEWHERE AT THE
2 VERY BOTTOM IN THE END ONCE WE HAVE TACKLED ALL
3 THESE OTHER TOPICS.

4 I'D LIKE TO SUGGEST THAT WE ALSO TALK
5 ABOUT WHAT ARE THE IMPLICATIONS AND IF AT ALL EVER
6 GERMLINE GENOME EDITING WOULD BE SOMETHING THAT WE
7 WOULD CONSIDER.

8 THIS HAS CERTAINLY HAPPENED. WE ALL HAVE
9 HEARD OF THAT CHINESE INVESTIGATOR WHO DID THE
10 GERMLINE GENOME EDITING TO PEDIATRIC PATIENTS OR TO
11 EMBRYOS FOR PREVENTION OF HIV. SO CERTAINLY IT'S
12 NOT SCIENCE FICTION. AND I WOULD SAY, IF THERE ARE
13 THESE CONTROVERSIAL TOPICS, IT WOULD BE WORTHWHILE
14 TO DISCUSS THEM AND TO FIGURE OUT IF AND WHEN SUCH A
15 THING MIGHT BE REASONABLE TO DO EVEN THOUGH THE
16 REGULATIONS DON'T ALLOW FOR THAT CURRENTLY. BUT
17 COULD THAT OR SHOULD THAT BE CHANGED IN THE FUTURE?
18 AND I THINK IT'S WORTH HAVING THAT DISCUSSION.

19 CO-CHAIRMAN KAHN: COULD I JUST ADD ON TO
20 THAT. SO THE NAS HUMAN GENOME EDITING INITIATIVE
21 INCLUDES THAT TOPIC. IT HAS OVER THE LAST TWO TIMES
22 THERE'S BEEN AN INTERNATIONAL MEETING. AND THE
23 THIRD -- THEY'RE SUPPOSED TO BE EVERY OTHER YEAR,
24 BUT THEY GOT OFF CYCLE BECAUSE OF THE PANDEMIC. BUT
25 THE THIRD MEETING WILL BE, I THINK, IN MARCH IN

BETH C. DRAIN, CA CSR NO. 7152

1 LONDON WHERE THAT CONTINUES TO BE A TOPIC OF
2 CONVERSATION, AKSHAY, BUT WORTH SORT OF MAKING CLEAR
3 THAT THAT IS INCLUDED IN WHAT'S HAPPENING ALL AROUND
4 GENOME EDITING.

5 I WOULD MAKE A FRIENDLY AMENDMENT AND SAY
6 HERITABLE GENETIC CHANGE OR MODIFICATION BECAUSE
7 MITOCHONDRIAL TRANSPLANT AND TECHNIQUES SOMETIMES
8 ARE NOT CONSIDERED GERMLINE MODIFICATION, BUT ARE
9 CERTAINLY HERITABLE GENETIC MODIFICATIONS. AND SO
10 THAT'S A BROADER WAY OF CATEGORIZING, I THINK, THE
11 GENERAL ISSUE. SO HOPEFULLY YOU WOULD AGREE WITH
12 THAT.

13 BENHUR.

14 DR. LEE: I WAS JUST GOING TO MENTION
15 WE'RE PROBABLY NOT THERE YET SINCE WE'RE TRYING TO
16 GET THERAPIES, ENHANCEMENTS INTO THE CLINIC. BUT IN
17 THE HORIZONS, THAT TALKS ABOUT NEURAL AUGMENTATIONS
18 OR STEM CELL AUGMENTATIONS FOR MUSCLE REGENERATION
19 THAT GOES BETTER THAN CURING MUSCULAR DYSTROPHY, BUT
20 MAKING PEOPLE MORE MUSCULAR, HAVING ATHLETES.
21 THAT'S PERCOLATING ON THE HORIZON AND MAY OR MAY NOT
22 BE SOMETHING THAT THE COMMITTEE WANTS TO TACKLE.

23 CO-CHAIRMAN KAHN: GEOFF OR AND OTHERS AT
24 CIRM, NOWHERE IN THE MATERIALS I'VE SEEN IS THERE
25 ANY COMMENT ABOUT ENHANCEMENT TECHNOLOGIES. DOES

BETH C. DRAIN, CA CSR NO. 7152

1 THAT LIVE ANYWHERE IN THE POLICIES?

2 DR. LOMAX: WELL, CHIME IN THERE. I'M NOT
3 AWARE OF IT BEING ADDRESSED EXPLICITLY, BUT
4 IMPLICITLY WE'VE TENDED TO FRAME OUR CLINICAL
5 PROGRAMS AROUND UNMET MEDICAL NEED. SO I THINK IN
6 THE EVALUATION PROCESS, THE NOTION OF ENHANCEMENT
7 MIGHT BE VIEWED WITH SOME SKEPTICISM AS AN UNMET
8 MEDICAL NEED. SO IT'S INDIRECTLY ADDRESSED THROUGH
9 OUR EVALUATION PROCEDURES, BUT OBVIOUSLY WOULD
10 INVITE COMMENT FROM MY CIRM COLLEAGUES IF THEY HAVE
11 ANYTHING TO ADD TO THAT.

12 CO-CHAIRMAN KAHN: JUST NOTING THAT
13 DEFINING ENHANCEMENT OR DISTINGUISHING ENHANCEMENT
14 FROM UNMET MEDICAL NEED IS NOTORIOUSLY CHALLENGING.
15 MODIFYING IMMUNE RESPONSE IS SOME WAY AN
16 ENHANCEMENT, BUT ALSO SEEMS LIKE IT SOLVES AN UNMET
17 MEDICAL NEED. SO A REALLY INTERESTING SPACE. SO I
18 APPRECIATE BENHUR'S SUGGESTION HERE.

19 I DON'T SEE ANY OF OUR CIRM COLLEAGUES
20 WANTING TO WEIGH IN ON THIS. SO IF NOT, CHRIS,
21 YOU'RE NEXT.

22 DR. SAHA: I ALSO THINK ENHANCEMENT IS AN
23 INTERESTING BUT THORNY TOPIC.

24 THE OTHER THING THAT CAME UP IN MY MIND IS
25 IN UTERO GENE THERAPY AND GENE EDITING. THERE'S

BETH C. DRAIN, CA CSR NO. 7152

1 CERTAINLY ACTIVITY IN CALIFORNIA. THERE MIGHT BE A
2 NEED TO ESTABLISH STANDARDS THERE THAT WEIGH THE
3 RELATIVE LIVES OF THE MOTHER AND THE FETUS. AND
4 IT'S A QUICKLY EVOLVING SPACE.

5 CO-CHAIRMAN KAHN: I THINK DR. FARMER WAS
6 GOING TO SPEAK TO SOME OF THOSE ISSUES. RIGHT,
7 GEOFF? THAT WAS GOING TO BE INCLUDED IN THAT
8 PRESENTATION.

9 DR. LOMAX: YEAH. WE CAN INVITE FOLKS
10 BACK WHO ARE INVOLVED IN THAT WORK IF IT'S A TOPIC
11 OF INTEREST.

12 CO-CHAIRMAN KAHN: ANY OTHER? THIS IS A
13 HEALTHY LIST, AND WE'VE ADDED A FEW THINGS TO IT.
14 SO IT'S NOT LIKE WE'RE GOING TO BE WANTING FOR
15 TOPICS.

16 CO-CHAIRMAN FISHER: THE OTHER WAY TO ASK
17 THE QUESTION: IS THERE ANYTHING THAT'S ON HERE THAT
18 PEOPLE QUESTION OR THINK SHOULD NOT BE?

19 CO-CHAIRMAN KAHN: UH-HUH. I GUESS NOT.
20 FRED, I REMEMBER WE HAD A CONVERSATION AND YOU WERE
21 VERY INTERESTED IN THE CORD BLOOD BANKING ISSUES. I
22 MEAN THAT'S A FAIRLY CRYPTIC HEADING THERE. MAYBE
23 YOU WANT TO SAY TWO WORDS ABOUT WHAT YOU WERE
24 THINKING ABOUT INCLUDING THAT TOPIC.

25 CO-CHAIRMAN FISHER: SURE. THE LAST THREE

BETH C. DRAIN, CA CSR NO. 7152

1 ON THIS LIST ARE REALLY WHERE THE OBVIOUS CROSSOVER
2 TO CONSUMERS TAKES PLACE, AND THINGS THAT PEOPLE
3 WITH GENETIC DISORDERS, CERTAINLY THE PRIVACY ISSUES
4 EXIST. THE CORD BLOOD BANKING ISSUE IS ONE THAT I'M
5 NEWLY FAMILIAR WITH BECAUSE I'VE LEARNED HOW PEOPLE
6 WHO ARE ABOUT TO DELIVER A BABY ARE BEING ASKED IF
7 THEY WANT TO BANK THEIR CORD BLOOD. AND THIS ASK IS
8 COMING THROUGH THE HOSPITALS THAT ARE PERFORMING THE
9 DELIVERIES, BUT THE CORD BANKING IS DONE BY PRIVATE
10 COMPANIES.

11 AND IT'S BASED -- I'M REMINDED OF THE
12 PRESENTATION EARLIER TODAY WHERE IT'S SORT OF BASED
13 ON THIS SORT OF VAGUE PROMISE THAT IF YOU BANK YOUR
14 CORD BLOOD, YOUR KID IS GOING TO BE ABLE TO BE CURED
15 OF DISEASES DOWN THE ROAD. AND SO YOU SHOULD DO
16 THIS AND DO IT AT GREAT EXPENSE, WHICH IN AND OF
17 ITSELF MEANS MANY PEOPLE WILL NOT BE ABLE TO
18 PARTICIPATE EVEN IF THEY WANTED TO BECAUSE THEY
19 COULDN'T AFFORD THE PRICE.

20 SO ACCESSIBILITY IS AN OPTION, BUT I THINK
21 THE REAL CONFLICT IS HOW THIS IS BEING MARKETED TO
22 PEOPLE WHO ARE AT A VERY VULNERABLE STATE. THEY'RE
23 ABOUT TO DELIVER A CHILD. THEY'RE PROJECTING
24 FORWARD. AND, IN ESSENCE, BY NOT DOING IT, THEY ARE
25 POTENTIALLY DENYING THEIR CHILD A CURE THAT

BETH C. DRAIN, CA CSR NO. 7152

1 OTHERWISE WOULD BE AVAILABLE TO THEM HAD THEY BANKED
2 THEIR CORD BLOOD, WHICH IS JUST PREYING. IT SEEMS
3 LIKE THE PREDATORY LENDING. IT'S JUST PREDATORY
4 BECAUSE THERE'S NO EVIDENCE THAT BANKING CORD BLOOD,
5 NO MEANINGFUL EVIDENCE, I GUESS, THAT IT'S GOING TO
6 MAKE A HUGE DIFFERENCE AT LEAST TODAY. SO IT'S KIND
7 OF LEVERING THE PUBLIC'S PERCEPTION THAT STEM CELL
8 THERAPY IS GOING TO BE THE END ALL AND BE ALL AND
9 CURE -- BETWEEN STEM CELL THERAPY AND GENE THERAPY,
10 ALL DISEASE IS GOING TO GO AWAY. AND IF YOU DON'T
11 PAY THIS EXORBITANT AMOUNT OF MONEY, YOU'RE
12 BASICALLY DENYING YOUR UNBORN CHILD THE ABILITY TO
13 BE CURED IN THE FUTURE.

14 SO I THINK THERE ARE LOTS OF ETHICAL
15 QUESTIONS AROUND THAT. HOPEFULLY I'VE DONE A DECENT
16 JOB ARTICULATING WHAT THEY ARE, BUT THAT'S REALLY
17 WHERE THIS ISSUE IS COMING FROM. HOPEFULLY THAT WAS
18 HELPFUL. AND I'D BE INTERESTED IN ANYBODY'S
19 THOUGHTS ABOUT IT ONE WAY OR THE OTHER.

20 CO-CHAIRMAN KAHN: LARRY.

21 DR. GOLDSTEIN: JUST TO FOLLOW UP ON
22 FRED'S COMMENT. THE LAST TIME I LOOKED INTO THIS
23 ISSUE WAS ABOUT 10 OR 15 YEARS AGO WHEN I WAS
24 WRITING A BOOK. BUT AS I UNDERSTAND THE DATA,
25 ALMOST ALL TRANSPLANTS OF CORD BLOOD ORIGINATE AT BE

BETH C. DRAIN, CA CSR NO. 7152

1 THE MATCH, WHICH IS THE PUBLIC BANK. AND IT'S VERY
2 UNUSUAL FOR PRIVATE BANKS TO CONTRIBUTE CORD BLOOD
3 TO THE DONOR FOR A DISEASE THAT CAN USEFULLY BY
4 MODIFIED BY THAT CORD BLOOD. SO AS I UNDERSTAND IT,
5 THE INDUSTRY IS ALMOST A COMPLETE RIP-OFF.

6 CO-CHAIRMAN KAHN: THE PERSON I WOULD CALL
7 TO ASK THIS QUESTION IS JOHN WAGNER WHO HAPPENS TO
8 BE RIGHT BELOW GEOFF ON MY SCREEN. I DON'T KNOW,
9 JOHN, IF YOU WOULD CARE TO OPINE ABOUT THIS.

10 DR. WAGNER: WELL, AS LARRY IS JUST
11 MENTIONING, THIS HAS BEEN A LONGSTANDING
12 CONTROVERSY. AND IT'S NOT TO SAY THAT IT'S NOT
13 WORTH REVISITING, BUT IT'S NOT A NEW ISSUE. AND
14 THERE HAVE BEEN MANY ARTICLES WRITTEN ON THIS TOPIC
15 ABOUT WHETHER YOU SHOULD OR SHOULD NOT, WHAT THE
16 POTENTIAL IS, AND HOW LIKELY IT IS THAT YOU'D EVER
17 BE USING IT, AND COULD IT BE USED FOR SOMETHING
18 OTHER THAN HEMATOPOIETIC STEM CELL TRANSPLANTS? THE
19 ANSWER IS PROBABLY, YES, IT COULD BE. BUT STILL I
20 DON'T THINK ANYTHING IS PROVEN TO BE ABLE TO SAY,
21 YES, THIS IS WORTHWHILE OR NOT.

22 ALTHOUGH I'M USING THE PUBLIC BANK,
23 THEORETICALLY I GUESS YOU COULD GO AND TELL PEOPLE
24 THAT YOU COULD CREATE, FOR EXAMPLE, REGULATORY
25 T-CELLS AS A WAY OF CONTROLLING AUTOIMMUNE DISEASE

BETH C. DRAIN, CA CSR NO. 7152

1 OR GRAFT VERSUS HOST DISEASE, OR HOWEVER YOU WANT TO
2 DO IT. I'M NOT SAYING THAT'S WHAT YOU SHOULD DO.
3 BUT SINCE MY OWN RESEARCH IS USING CORD BLOOD AS A
4 SOURCE OF REGULATORY T-CELLS AS A WAY OF CONTROLLING
5 SOME OF THESE COMPLICATIONS, I GUESS YOU COULD
6 CREATE AN AUTOLOGOUS PRODUCT. YOU CAN IMAGINE ALL
7 THE CHALLENGES THAT WOULD BE TO MAKE IT -- FIRST
8 OFF, IT'S GOING TO BE MUCH MORE COSTLY TO MAKE THE
9 INDIVIDUALIZED PRODUCT RATHER THAN MAKING A BANK OF
10 THESE CELLS. THERE COULD BE ADVANTAGES, BUT I'VE
11 NEVER TRIED TO STUDY AUTOLOGOUS VERSUS ALLOGENEIC AS
12 A STARTING CELL SOURCE.

13 BUT TO BE A HONEST WITH YOU, IT'S NOT A
14 TOPIC THAT I'M PARTICULARLY INTERESTED IN
15 REVISITING, NOT TO SAY THAT THE GROUP SHOULDN'T DO
16 IT. IT'S JUST THAT IT'S BEEN DONE OVER AND OVER
17 AGAIN, BUT MAYBE THERE'S A NEW TWIST.

18 CLEARLY WHAT WOULD BE GREAT IS IF WE CAN
19 GET REAL DATA FROM THE AUTOLOGOUS CORD BLOOD BANKS
20 AND SAY, "TELL US WHAT YOU'VE DONE. HOW DO YOU
21 JUSTIFY THIS?" BUT IN THE PAST IT WAS REALLY A
22 STRUGGLE GETTING THAT INFORMATION FROM THEM. SO
23 WHAT DATA CAN WE BRING TOWARDS THIS THAT'S DIFFERENT
24 THAN WHAT WE ALREADY HAVE DONE IN THE PAST? I JUST
25 DON'T KNOW.

BETH C. DRAIN, CA CSR NO. 7152

1 CO-CHAIRMAN FISHER: SO I'LL TRY TO
2 RESPOND AT LEAST FROM A CONSUMER POINT OF VIEW.
3 GIVEN THAT CIRM IS IN THE BUSINESS OF STEM CELL AND
4 GENE THERAPY, THERE'S STRONG OVERLAP BETWEEN THE
5 PEOPLE WHO ARE BEING ENCOURAGED AT THE CONSUMER
6 LEVEL TO BANK THEIR BLOOD AT HIGH COST AND THE
7 BUSINESS THAT CIRM IS IN. AND WHILE THERE MIGHT
8 HAVE BEEN -- I'LL JUST SAY THERE HAVE BEEN -- WHILE
9 THIS ISSUE HAS BEEN LOOKED AT A LOT BY OTHERS, THAT
10 KNOWLEDGE AND ANY CONCLUSIONS THAT COME FROM THAT
11 ANALYSIS HASN'T BEEN WIDELY SHARED WITH THE CONSUMER
12 COMMUNITY.

13 AND SO CIRM MAY HAVE A ROLE IN COMING UP
14 WITH A POLICY RECOMMENDATION JUST LIKE THIS GROUP
15 MAY COME UP WITH POLICY RECOMMENDATIONS ON OTHER
16 ISSUES. CIRM MAY CHOOSE TO AND THIS GROUP MAY
17 RECOMMEND THAT CIRM TAKE A POSITION THAT IT CAN
18 SHARE WITH CALIFORNIA CONSUMERS TO INFORM THEIR
19 CHOICES ABOUT THIS BECAUSE CONSUMERS WOULD HAVE TO
20 GO THROUGH A LOT OF WORK TO DO THEIR OWN ANALYSIS.
21 AND MOST OF THE TIME THEY'RE DOING THAT WORK IN THE
22 MIDST OF WHAT BECOMES AN EMOTIONAL CRISIS.

23 SO I'M NOT DOUBTING ANYTHING THAT YOU'RE
24 SAYING, BUT TRYING TO FRAME IT IN THE CONTEXT OF HOW
25 THIS MIGHT BE A TOPIC FOR THIS GROUP IN THE CONTEXT

BETH C. DRAIN, CA CSR NO. 7152

1 OF PROVIDING SOME ADVICE TO CIRM AROUND IT.

2 CO-CHAIRMAN KAHN: HELPFUL. THANK YOU.

3 LARRY, I SEE YOUR HAND IS JUST UP FROM
4 BEFORE. SO IF THAT'S RIGHT, THEN WE CAN GO TO
5 MARIA.

6 DR. MILLAN: ON THAT TOPIC, WOULD IT BE
7 HELPFUL IF THE CIRM TEAM PROVIDES KIND OF A SUMMARY
8 OF WHAT TYPES OF PROJECTS MAY USE UMBILICAL CORD
9 BLOOD BECAUSE WE REALLY HAVE VERY FEW PROJECTS, AS
10 FAR AS I KNOW, BUT WE CAN LOOK AT WHICH ONES WE DO
11 HAVE. AND THEN IF THERE'S SOME SORT OF CONCERN,
12 SPECIFIC CONCERN RELATIVE TO THE TOPIC OF BANKING
13 CORD BLOOD, THEN THAT COULD BE SOMETHING THAT CAN
14 INFORM THE CONVERSATION MAYBE. SO IT WOULD BE
15 HELPFUL IF THE TEAM BRINGS BACK SOME INFORMATION
16 REGARDING THE CIRM PORTFOLIO.

17 DR. WAGNER: I THINK THE OTHER THING TO
18 KEEP IN MIND WHERE THERE'S BEEN A LOT OF PUBLICITY
19 RELATED TO THIS HAS BEEN ARE YOU USING CORD BLOOD IN
20 THE SETTING OF PATIENTS WITH PERINATAL LOW
21 OXYGENATION RESULTING IN CEREBRAL PALSY AND OTHER
22 CASES DOING STUDIES LOOKING AT IMPACT UPON YOUNG
23 CHILDREN WHO HAVE SEVERE MANIFESTATIONS OF AUTISM
24 AND A VARIETY OF OTHER CONDITIONS. AND THE WORK IS
25 PRIMARILY BEING DRIVEN BY JOANNE KURTZBERG AT DUKE

BETH C. DRAIN, CA CSR NO. 7152

1 UNIVERSITY. BUT THESE ARE VERY COMMON DISEASES AND
2 SO GETS A LOT OF PUBLICITY. AND THERE ARE PEOPLE
3 THAT ARE BELIEVERS AND THERE ARE PEOPLE WHO ARE NOT
4 BELIEVERS.

5 THAT'S A PATH THAT -- FIRST OFF, WHAT
6 WOULD BE INTERESTING IS TO DETERMINE WHETHER OR NOT,
7 IN DIFFERENT INSTITUTIONS, WHETHER OR NOT THE
8 RESULTS WERE REPEATABLE AND IF INDEED THEY CONTINUE
9 TO BE AS GOOD AS WHAT WAS PREVIOUSLY REPORTED. BUT
10 WHAT'S INTERESTING IS THAT MOST CENTERS HAVE NOT
11 JOINED ON IN TRYING TO REPLICATE IT. AND THAT COULD
12 BE FOR SEVERAL REASONS, BUT YET WHAT YOU HEAR ABOUT
13 IS MANY PEOPLE SAY, WELL, I JUST DON'T UNDERSTAND
14 THE MECHANISM, SO I'M NOT GOING TO REPEAT IT. THAT
15 MIGHT BE THE RIGHT ANSWER TOO.

16 IN ANY EVENT, CLEARLY IF THAT WAS -- IF
17 ALL THAT WAS AS GOOD AS WHAT WAS INITIALLY RECORDED,
18 THEN YOU CAN IMAGINE -- I CAN TELL YOU NOW THAT THE
19 AUTOLOGOUS CORD BLOOD BANKING INDUSTRY, THEY RELY ON
20 THAT DATA IN PARTICULAR. IT'S NOT BONE MARROW
21 TRANSPLANTS. IT'S NOTHING ELSE THAT WE ORIGINALLY
22 TALKED ABOUT. IT'S REALLY THIS IDEA THAT IT COULD
23 BE BENEFICIAL IN AUTISM AND OTHER NEUROLOGICAL
24 DISORDERS.

25 SO WE'D HAVE TO DO A DEEP DIVE INTO THAT

BETH C. DRAIN, CA CSR NO. 7152

1 PERHAPS, BUT THAT'S REALLY WHERE THE MOST INTEREST
2 HAS BEEN. I HAVE NO SPECIFIC EXPERIENCE IN IT TO BE
3 ABLE TO ADD MY OWN EXPERIENCE WITH THAT, BUT OTHERS
4 MIGHT HAVE THOUGHTS ABOUT THAT.

5 CO-CHAIRMAN KAHN: I GUESS THE OTHER SIDE
6 OF THIS COIN, ONE WAY TO THINK ABOUT IT IS LARRY'S
7 POINT, AND, JOHN, YOU KNOW THIS WORLD VERY WELL TOO,
8 IS DONATION OF CORD BLOOD TO PUBLIC BANKS. SO BE
9 THE MATCH OR WHAT USED TO BE THE NATIONAL MARROW
10 DONOR PROGRAM. AND THE QUESTION IS REALLY HAS CIRM
11 EVER TAKEN A POSITION OR MADE RECOMMENDATIONS TO THE
12 PUBLIC ABOUT THE IMPORTANCE AND NEED FOR PEOPLE TO
13 DONATE CORD BLOOD AFTER BIRTH? RATHER THAN PAYING
14 TO BANK THEM FOR PERSONAL USE, DONATING THEM FOR
15 OTHERS TO HAVE ACCESS TO FOR THERAPEUTIC PURPOSES.
16 HAS THERE EVER BEEN A POLICY DISCUSSION ABOUT THAT?

17 DR. WAGNER: CERTAINLY THE AMERICAN
18 ACADEMY OF PEDIATRICS HAS.

19 CO-CHAIRMAN KAHN: RIGHT. MEANING FROM
20 CIRM.

21 DR. WAGNER: I KNOW WHAT YOU MEAN.

22 DR. LOMAX: NONE THAT I'M AWARE OF AS A
23 SPECIFIC RECOMMENDATION. THAT WOULD HAVE TO COME
24 FROM OUR BOARD TYPICALLY AS A POLICY RECOMMENDATION.

25 DR. MILLAN: IT HASN'T REALLY BEEN A BIG

BETH C. DRAIN, CA CSR NO. 7152

1 PART OF OUR PORTFOLIO, AND IT HASN'T ARISEN THAT
2 OFTEN FOR A PROGRAM SPECIFIC. BUT IT WOULD BE
3 INTERESTING TO LOOK AT HOW CORD BLOOD, IN TERMS OF
4 THE DISCOVERY, TRANSLATION, OR EVEN CLINICAL
5 PROGRAMS, WHAT TYPE OF ACTIVITY WE HAVE WITHIN OUR
6 PORTFOLIO. AND WE CAN HAVE A QUICK LOOK AT THAT AND
7 BE BACK TO THIS GROUP TO TAKE A LOOK AT IT AND SEE
8 IF THERE'S ANYTHING ABOUT THAT THAT MAY SPARK
9 INTEREST IN A PARTICULAR ASPECT OF THIS QUESTION.

10 BUT I THINK THAT THE TOPIC ABOVE THAT IN
11 TERMS OF STEM CELL TOURISM, DIRECT TO CONSUMER IS A
12 VERY IMPORTANT TOPIC THAT WE HAVEN'T REALLY BEEN
13 ABLE TO REALLY ADDRESS. AND IT REALLY DOES SPEAK TO
14 YOUR CONCERN, FRED, IN TERMS OF PROTECTING THE
15 PUBLIC FROM MISUSE OF A RATIONALE TO BE EXPLOITED.

16 OF COURSE, CIRM DOES WHAT IT CAN TO
17 EDUCATE THE PUBLIC. WE HAVE THINGS ON OUR WEBSITE,
18 AND WE ATTEMPTED TO HAVE CONVERSATIONS MEDIATED BY
19 SENATOR TORRES WITH OUR MEDICAL BOARD, BUT WE
20 HAVEN'T REALLY MADE ANY HEADWAY IN TERMS OF SPECIFIC
21 POLICY OR RECOMMENDATIONS ABOUT HOW WE CAN PROTECT
22 AGAINST DIRECT TO CONSUMER EXCEPT FOR BRINGING
23 AWARENESS TO IT.

24 CO-CHAIRMAN KAHN: LEONDRA, I SEE YOUR
25 HAND.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. CLARK-HARVEY: YES. THANK YOU. I
2 JUST HAD A QUESTION MORE ABOUT PROCESS AND PROCEDURE
3 AND MAYBE HISTORY. I HEARD THE RECOMMENDATION IT
4 SOUNDED LIKE OR QUESTION AROUND IF CIRM HAS IN THE
5 PAST PUT OUT STATEMENTS OR EVEN, IT SOUNDED LIKE, A
6 POLICY SUGGESTION. I'M JUST WONDERING, AS IT
7 PERTAINS TO THIS PARTICULAR ISSUE, BUT HAS CIRM DONE
8 THAT IN THE PAST? AND WHAT IS THE APPROPRIATENESS
9 AND THE CHARGE OF CIRM IN DOING THAT? I'M JUST
10 WONDERING ABOUT, AS WE TALK ABOUT ETHICS, I'M JUST
11 WONDERING HAVE WE DONE THAT ON OTHER ISSUES AND MADE
12 RECOMMENDATIONS? WHAT'S OUR TRACK RECORD THERE?
13 AND THEN IS THAT APPROPRIATE FOR US TO DO?

14 DR. LOMAX: THE EFFORTS THAT I'M AWARE OF,
15 AS MARIA ALLUDED TO, WE DID DO A PRESENTATION TO THE
16 MEDICAL BOARD, AND WE HAVE DONE A PUBLICATION
17 ADVOCATING THAT STEM CELL TREATMENTS FOR PATIENTS
18 MEET CERTAIN CRITERIA. AND THOSE ARE THE CRITERIA
19 WHICH WE APPLY, WHICH IS THAT THEY ARE UNDER AN FDA
20 IND AND THEY'RE BEING DELIVERED UNDER APPROPRIATE
21 SCIENTIFIC RIGOR SO THAT THEY'RE BEING EVALUATED FOR
22 EFFICACY OR WHATEVER THE ENDPOINT IS UNDER THAT IND.

23 SO TO THE EXTENT WE HAVE A PUBLIC
24 ARTICULATION OF THAT IN A SORT OF POLICY-RELATED
25 FRAMEWORK, THERE'S ONE PUBLICATION THERE IN

BETH C. DRAIN, CA CSR NO. 7152

1 PARTICULAR THAT WE HAD PUBLISHED, I THINK, ABOUT
2 FOUR YEARS AGO. BE HAPPY TO CIRCULATE IT TO THE
3 GROUP BECAUSE PERHAPS IT WOULD GIVE YOU A SENSE OF
4 HOW WE'VE ATTEMPTED TO ARTICULATE POLICY POSITIONS.
5 AGAIN, THAT WAS UNDER THE GUIDANCE OF SENATOR
6 TORRES. SO IT'S COMING THROUGH OUR LEAD LEGISLATIVE
7 REPRESENTATIVE ON THE BOARD.

8 DR. CLARK-HARVEY: I APPRECIATE THAT. I
9 THINK THAT MAKES SENSE TO ME. THAT'S REALLY
10 AROUND -- TO ME THAT'S VERY JUSTIFIABLE AROUND
11 ETHICS AND THE SCIENCE TO BACK THAT UP. BUT IN
12 TERMS OF THE RECOMMENDATION KIND OF BROACHED A
13 MINUTE AGO AROUND MAYBE NOT JUST RECOMMENDING THE,
14 AND MAYBE I HEARD IT WRONG, BUT STEM CELL CORD USE
15 YOURSELF, BUT FOR OTHER PEOPLE IN DONATION, THAT, I
16 THINK, IS A LITTLE DIFFERENT. AND SO THAT'S WHERE
17 MY QUESTION KIND OF COMES FROM. I HOPE THAT MAKES
18 SENSE.

19 CO-CHAIRMAN KAHN: YEAH. I WASN'T
20 SUGGESTING WE MAKE SUCH A RECOMMENDATION. I WAS
21 JUST ASKING ABOUT ADVOCACY IN PARTICULAR TOPICS,
22 THAT ONE BEING THE EXAMPLE. YOUR QUESTION IS A VERY
23 GOOD ONE.

24 DR. CLARK-HARVEY: YEAH. I THINK THAT
25 ACTUALLY IS A GOOD QUESTION, BUT IT JUST BRINGS ME

BETH C. DRAIN, CA CSR NO. 7152

1 TO KIND OF LIKE WHAT'S OUR ROLE AND OUR POSITION,
2 AND IS THAT A RECOMMENDATION FOR US TO MAKE? IF SO,
3 GREAT. BUT I'M JUST GENUINELY CURIOUS ABOUT THAT,
4 SO WOULD APPRECIATE SOME BACKGROUND INFORMATION
5 THERE. THANKS FOR YOUR PATIENCE.

6 CO-CHAIRMAN FISHER: I THINK THE OTHER
7 SORT OF PIECE OF THIS DYNAMIC IS THAT THE
8 INSTITUTIONS THAT ARE, IN ESSENCE, HOPING TO MARKET
9 THESE PRIVATE CORD BLOOD BANKS ARE INSTITUTIONS THAT
10 CIRM FUNDS FOR STEM CELL RESEARCH. I DON'T KNOW IF
11 THAT PRESENTS A CONFLICT OF INTEREST OR NOT BECAUSE
12 THE EFFORTS SEEM TO BE ENTIRELY SEPARATE FROM ONE
13 ANOTHER. BUT GUIDANCE FOR THE CONSUMER COMMUNITY, I
14 THINK, WOULD BE VERY HELPFUL. AND I DON'T KNOW
15 WHERE ELSE THEY WOULD GET THAT OTHER THAN FROM THE
16 CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE.

17 CO-CHAIRMAN KAHN: I SEE LARRY AND MARIA'S
18 HANDS. LARRY, GO AHEAD.

19 DR. GOLDSTEIN: JUST A QUICK RESPONSE TO
20 FRED. MANY SCIENTIFIC AND MEDICAL SOCIETIES DO
21 PUBLISH PAPERS AND POSITION PAPERS ON THESE TOPICS.
22 I WONDER IF A MIDDLE GROUND IS FOR CIRM TO LINK TO
23 THOSE SORTS OF POSITION PAPERS AS A SERVICE TO THE
24 PUBLIC BY CURATING THAT SORT OF INFORMATION.

25 CO-CHAIRMAN KAHN: MARIA. FRED, YOU WANT

BETH C. DRAIN, CA CSR NO. 7152

1 TO RESPOND, GO AHEAD.

2 CO-CHAIRMAN FISHER: I THINK THE LIST WAS
3 PUT UP THERE JUST AS SORT OF A HERE'S WHAT WE'VE
4 BEEN THINKING. RESPOND TO WHETHER THESE ITEMS ON
5 THIS LIST ARE RELEVANT OR NOT RELEVANT OR WHAT ELSE
6 WOULD YOU ADD TO IT AND THAT WE WEREN'T GOING TO BE
7 TAKING A DEEP DIVE INTO RESOLVING THE ISSUE. AND
8 LARRY'S SUGGESTION, WHICH IS AN INTERESTING ONE, IS
9 SOMETHING THAT I THINK POTENTIALLY COULD COME OUT OF
10 WHATEVER THIS GROUP'S PROCESS WOULD BE AROUND THIS
11 ISSUE.

12 SO NOT DISREGARDING WHAT LARRY SAID AT
13 ALL, BUT JUST TRYING TO REFOCUS OR BE CLEAR ABOUT
14 WHAT IT IS WE WANT TO ACCOMPLISH VIA THIS
15 CONVERSATION TODAY BECAUSE I DON'T THINK IT'S THAT
16 WE WANT TO RESOLVE THE ISSUE NECESSARILY ONE WAY OR
17 THE OTHER.

18 CO-CHAIRMAN KAHN: YES. IT OBVIOUSLY
19 RELATES TO YOUR POINT ABOUT UNAPPROVED STEM CELL
20 TECHNOLOGIES, THAT THEY ARE KIND OF ALL OF A PIECE.
21 SO MAYBE THAT'S HOW WE SORT OF CAPTURE THIS FOR
22 FUTURE CONVERSATION.

23 MARIA, I SEE YOU NODDING. YOUR HAND'S UP
24 TOO, SO GO AHEAD.

25 DR. MILLAN: I AGREE WITH THAT. ONE OF

BETH C. DRAIN, CA CSR NO. 7152

1 THE THINGS AS A SEPARATE TOPIC, JUST FOLLOWING ON
2 WHAT FRED FISHER HAD JUST MENTIONED, THE TOPIC OF
3 ENHANCEMENTS I THINK IS A VERY IMPORTANT TOPIC
4 BECAUSE LONGEVITY RESEARCH, FRAILTY RESEARCH, THOSE
5 ARE THINGS THAT ARE KIND OF ON THE CUSP HERE. AND
6 IT'S KIND OF THIS FUZZY AREA, BUT THAT THERE'S SOME
7 SCIENCE BEHIND MECHANISTICALLY IN TERMS OF MOLECULAR
8 MECHANISMS TO RESET AND KIND OF RESET CLOCKS AND
9 THINGS LIKE THAT, THOSE ARE VERY HOT TOPICS RIGHT
10 NOW IN THE SCIENTIFIC ARENA. SO I WANTED TO JUST
11 SUPPORT THAT THAT IS AN IMPORTANT TOPIC, I THINK,
12 FROM THE CIRM PORTFOLIO AND THE TYPES OF PROGRAMS WE
13 SEE THAT MAY BE COMING IN.

14 CO-CHAIRMAN KAHN: I GUESS ALTOS LABS
15 BEING THE REFERENCE THERE.

16 DR. MILLAN: I'M SORRY.

17 CO-CHAIRMAN KAHN: ALTOS BEING THE KIND OF
18 REFERENCE. IS THAT WHAT YOU MEAN?

19 DR. MILLAN: THERE ARE DEFINITELY -- IT'S
20 A WHOLE DERIVATION OF WHAT'S HAPPENED WITH IPS
21 REPROGRAMMING. UTILIZATION FOR JUST REPROGRAMMING
22 US IN TERMS OF -- IT'S NOT A VALUE JUDGMENT. IT'S
23 JUST THAT IT IS ACTUALLY A VERY INTERESTING
24 SCIENTIFIC DIRECTION. AND THAT DIVISION BETWEEN
25 HEALTHY LONGEVITY AND ENHANCEMENT, IT IS A VERY, AS

BETH C. DRAIN, CA CSR NO. 7152

1 I THINK YOU POINTED OUT, IT'S A BLURRY KIND OF
2 SITUATION OFTENTIMES WHEN YOU'RE EVALUATING. IS
3 THIS TRULY ENHANCEMENT OR ARE YOU ADDRESSING A TRUE
4 UNMET NEED?

5 CO-CHAIRMAN KAHN: I WAS MAKING REFERENCE
6 TO THE FACT THAT I THINK AMONG THE LEADING EFFORTS
7 THAT ARE HAPPENING IN CALIFORNIA, BUT IN THE PRIVATE
8 SECTOR, I THINK. I DON'T THINK ANY OF THAT IS
9 HAPPENING IN PUBLIC UNIVERSITIES. J.T.

10 CAN'T HEAR YOU AGAIN.

11 CHAIRMAN THOMAS: I WAS SAYING IN RESPONSE
12 TO LEONDRA'S QUESTION ABOUT PROCESS, I THINK THAT
13 THE POSITIONS THAT CIRM TAKES ON VARIOUS THINGS ARE
14 SORT OF CASE BY CASE, AND IT GETS KIND OF TRICKY.
15 SO ON THE STEM CELL CLINIC ISSUE, FOR EXAMPLE, WE
16 ARE ABLE TO EXPRESS OUR OPINION AS WE DID TO THE MED
17 BOARD, BUT WE ARE SORT OF TAKING A BACK SEAT TO
18 EFFORTS THAT THE FDA HAS TO TAKE TO ACTUALLY DO
19 SOMETHING FROM A REGULATORY STANDPOINT ABOUT
20 ENFORCING WHAT -- TAKING ACTIONS AGAINST THESE
21 CLINICS LIKE THE ONE IN FLORIDA THAT INJECTED THE
22 STEM CELLS INTO THE WOMEN'S EYES AND CAUSED
23 BLINDNESS, ET CETERA. WE CAN TAKE A POSITION AS TO
24 THE ETHICAL ASPECT OF THAT. BUT IN TERMS OF
25 ACTUALLY BEING ABLE TO DO SOMETHING IN THAT ARENA,

BETH C. DRAIN, CA CSR NO. 7152

1 WE ARE A BIT HAMSTRUNG AND AT THE MERCY OF WHAT THE
2 FDA DOES. AND WE OBVIOUSLY CAN ENCOURAGE THE FDA TO
3 TAKE A MORE PROMINENT ROLE THAN THEY HAVE TO THIS
4 POINT IN CRACKING DOWN ON STEM CELL CLINICS.

5 BUT AS A GENERAL MATTER, I THINK MANY
6 TIMES WHEN THESE TOPICS COME UP, WE WILL DISCUSS
7 THEM, BUT WE ARE ALSO PART OF A LARGER DIALOGUE THAT
8 WILL GO ON ON ETHICAL ISSUES. FOR EXAMPLE, ON THE
9 GERMLINE GENE EDITING ISSUE, WHEN IT SPRUNG UP
10 SEVERAL YEARS AGO AND GENERATED INTERNATIONAL
11 OUTCRY, THE ISSCR CAME OUT WITH REGULATIONS THEY
12 WERE PROPOSING, ET CETERA. I THINK THAT WE HAD AN
13 ACTIVE VOICE IN THAT DIALOGUE, WHICH IS SOMETHING
14 THAT'S ENTIRELY APPROPRIATE FOR US TO DO.

15 WHAT WE DO ON INDIVIDUAL ISSUES GOING
16 FORWARD, I THINK WE NEED TO HAVE THAT DISCUSSION PER
17 ISSUE. I THINK IT'S A GREAT QUESTION YOU'RE ASKING
18 BECAUSE THERE ARE THINGS WE CAN DO AND THINGS WE
19 CAN'T DO, AND IT VARIES ACCORDING TO WHAT THE ISSUE
20 MAY BE.

21 SO WITH EACH OF THESE THINGS THAT WILL
22 COME UP FOR FUTURE DISCUSSION, I THINK IT'S ENTIRELY
23 APPROPRIATE TO ASK THE QUESTION: WHAT CAN CIRM DO
24 TO ADVANCE THE DIALOGUE IN THIS SPACE? AND HOW IS
25 THE BEST WAY TO EXPRESS THAT? SO I THINK THAT WAS A

BETH C. DRAIN, CA CSR NO. 7152

1 GREAT THING TO ASK AND SOMETHING THAT WE SHOULD HAVE
2 AS KIND OF A CHECKLIST ITEM WITH EVERYTHING WE
3 DISCUSS GOING FORWARD.

4 CO-CHAIRMAN KAHN: VERY HELPFUL. THANK
5 YOU. JOHN WAGNER.

6 DR. WAGNER: SO MY INITIAL POINT I WAS
7 GOING TO MAKE IS A BIT DIFFERENT, BUT I WANT TO
8 FOLLOW UP ON WHAT JONATHAN JUST SAID. SO I DON'T
9 KNOW WHAT THE STATE OF THE FIELD IS NOW IN TERMS OF
10 PURPOSEFUL GENERATION OF GAMETES FROM PLURIPOTENT
11 STEM CELLS. BUT CLEARLY THINKING ABOUT THE PEOPLE I
12 WORK WITH, WHICH ARE THE PEOPLE THAT HAVE UNDERGONE
13 TRANSPLANT OR MANY COURSES OF CHEMOTHERAPY AND ARE
14 NOW INFERTILE, HAS THE FIELD ADVANCED FAR ENOUGH
15 WHERE THIS IS SOMETHING THAT SHOULD BE READDRESSSED?
16 MAYBE OTHERS ARE ALREADY ADDRESSING IT.

17 IT'S ONE THING WHEN WE'RE TALKING ABOUT
18 SOMETHING SUCH LIKE GERMLINE EDITING, BUT THIS IS
19 REALLY TO CREATE NORMAL GAMETES FROM THE INDIVIDUAL
20 FROM WHICH IS NO LONGER FERTILE. AND I'M VERY NAIVE
21 IN THIS PARTICULAR AREA OF RESEARCH, SO MAYBE I'M
22 NOT STATING IT CORRECTLY. BUT IS THIS SOMETHING
23 THAT'S WORTH A CONVERSATION ABOUT AGAIN MOVING
24 FORWARD? JUST REALLY ASKING THAT QUESTION.

25 CO-CHAIRMAN KAHN: I THINK I MENTIONED

BETH C. DRAIN, CA CSR NO. 7152

1 THIS ON OUR PRECALL YESTERDAY. THE NATIONAL
2 ACADEMIES ARE HOSTING A WORKSHOP IN MID-APRIL ON
3 EXACTLY THIS TOPIC. I ONLY LEARNED ABOUT IT
4 YESTERDAY, THAT I WAS INVITED TO MODERATE ONE OF THE
5 SESSIONS. SO IT'S AT LEAST RISEN TO THE LEVEL THAT
6 THEY'RE THINKING IT'S IMPORTANT ENOUGH TO HOST A
7 THREE-DAY MEETING ON BASIC SCIENCE, CLINICAL
8 UNDERTAKING, AND ETHICS AND POLICY ISSUES. SO I
9 THINK THE ANSWER IS YES. WE CAN CERTAINLY WATCH TO
10 SEE WHAT COMES OUT OF THAT CONVERSATION.

11 ELI Y ADASHI IS CHAIRING THE MEETING. I
12 DON'T KNOW IF YOU KNOW HIM, THE FORMER DEAN OF THE
13 MEDICAL SCHOOL AT BROWN AND AN OB-GYN REPRODUCTIVE
14 TECHNOLOGY SPECIALIST DURING HIS MEDICAL PRACTICE
15 YEARS. SO THERE'S CLEARLY ATTENTION BEING PAID, AND
16 IT MUST BE SUFFICIENTLY ADVANCED OR ADVANCING TO
17 WARRANT THIS LEVEL OF DISCUSSION. SO WE CAN PAY
18 ATTENTION TO AT LEAST WHAT HAPPENS THERE.

19 DR. WAGNER: JUST ONE FOLLOW-UP, IF I CAN,
20 WHICH IS NOT FOLLOW-UP, BUT WHERE I WAS GOING
21 ORIGINALLY BEFORE JONATHAN MADE SOME POINTS.

22 I'M NOT SURE WHAT THE CATEGORY WOULD BE,
23 BUT WITH ALL THESE DIFFERENT STUDIES RIGHT NOW WITH
24 HEMATOPOIETIC STEM CELLS AND GENE MODIFICATION,
25 REGARDLESS OF WHAT THE UNDERLYING DISEASE IS, ONE OF

BETH C. DRAIN, CA CSR NO. 7152

1 THE CONCERNS IS THE DEVELOPMENT OF CLONAL
2 HEMATOPOESIS. IT'S IN A SETTING MOST COMMONLY,
3 BECAUSE THEY'RE GENETIC DISEASES, OFTENTIMES IN
4 YOUNGER PATIENTS. AND I GUESS THE QUESTION IS HOW
5 DO YOU EVALUATE THE SAFETY OF THE PROCESS THAT
6 YOU'RE DOING? AND YET WE'VE BEEN LOOKING AT GENETIC
7 INFORMATION AT VARYING TIME POINTS AFTER THE THERAPY
8 IS PERFORMED, BUT YET NOT KNOWING THE FULL MEANING
9 OF WHAT THOSE RESULTS ARE. SO YOU COULD IMAGINE
10 THAT YOU SAW SOME LOW VARIANT ALLELE FREQUENCY THAT
11 MADE YOU CONCERNED, BUT YOU DON'T KNOW FOR SURE IF
12 IT'S GOING MEAN ANYTHING. DO YOU REPORT IT? DO YOU
13 NOT REPORT IT? HOW DO YOU DESIGN THAT STUDY?

14 I THINK THAT, AT LEAST WITH A NUMBER OF
15 STUDIES THAT HAVE BEEN OUT THERE, THERE'S BEEN
16 DISCUSSION ABOUT HOW YOU WOULD BEST DO THIS. I
17 THINK PEOPLE ARE INTERESTED FROM THE SCIENTIFIC
18 POINT OF VIEW, BUT AT THE SAME TIME HOW DO YOU
19 PRACTICALLY DO THIS? I THINK IT COULD REALLY ADD TO
20 THE FIELD GENERALLY TO KNOW WHAT HAPPENS TO THESE
21 PATIENTS, PARTICULARLY IN CORPORATE SPONSORED TRIALS
22 WHERE WHEN DO THEY DISCLOSE THE INFORMATION.
23 CLEARLY, OF COURSE, WHEN IT'S AML OR MDS, BUT THERE
24 ARE CHANGES THAT I THINK THAT WE ARE NOT LEARNING
25 FROM BECAUSE OF FEAR OF HOW TO PERFORM THE STUDY.

BETH C. DRAIN, CA CSR NO. 7152

1 THAT'S MY TAKE ANYWAY, AND OTHERS MAY HAVE OTHER
2 THOUGHTS OR BETTER THOUGHTS ON IT. OR SOMETHING TO
3 THINK ABOUT.

4 CO-CHAIRMAN KAHN: SOMETHING TO THINK
5 ABOUT, SOUNDS LIKE. WELL, WE'VE BUILT A GOOD LIST
6 AND HAD A GOOD CONVERSATION ABOUT SOME OF THE TOPICS
7 ALREADY. GEOFF, WHAT'S LEFT FOR US TO DO?

8 DR. LOMAX: I THINK WE SHOULD DO ONE LAST
9 CHECK ON IF THERE'S ANY PUBLIC COMMENT. IF THE
10 MODERATOR, IS THERE ANYONE AT THIS STAGE WISHING TO
11 COMMENT?

12 DR. MILLAN: JUST TO LET YOU KNOW DR.
13 FARMER HAS JOINED. I DON'T KNOW IF YOU CAN SEE HER
14 ON YOUR SCREEN.

15 CO-CHAIRMAN KAHN: I CAN.

16 DR. LOMAX: I SUGGESTED TO DR. FARMER IN
17 AN E-MAIL I THINK WE SHOULD COME BACK TO THAT. I
18 THINK THAT SINCE WE MOVED OFF THE AGENDA ITEM, AT
19 THIS STAGE I THINK WE'LL COME BACK AND INVITE HER
20 BACK. IT'S STILL A VERY MEANINGFUL TOPIC, BUT WE DO
21 IT AT A SUBSEQUENT MEETING. SO APOLOGIES FOR ANY
22 CONFUSION, PARTICULARLY IF IT WAS AT OUR END, BUT
23 THE PERILS OF ONLINE MEETINGS. WE'RE PRETTY GOOD AT
24 THEM, BUT EVERY NOW AND THEN HAVE A HICCUP.

25 DR. FARMER: NO WORRIES. HAPPY TO HELP IN

BETH C. DRAIN, CA CSR NO. 7152

1 ANY WAY.

2 CO-CHAIRMAN KAHN: THANK YOU FOR COMING.

3 DR. LOMAX: SO I WOULD OBVIOUSLY LIKE TO
4 OFFER THE CO-CHAIRS A CHANCE TO CLOSE THE MEETING OR
5 INVITE THEM TO CLOSE THE MEETING. BEFOREHAND, I
6 WOULD JUST LIKE TO INDULGE THE GROUP FOR ONE MOMENT
7 IF I MAY BECAUSE I REALLY WANT TO ACKNOWLEDGE
8 CHAIRMAN THOMAS AND HIS ONGOING SUPPORT AND
9 LEADERSHIP AND EVERYTHING HE'S DONE TO MAKE THIS
10 MEETING POSSIBLE. AND HE'S BEEN -- YOU COULDN'T ASK
11 FOR A BETTER COLLABORATOR FROM MY PERSPECTIVE. HE'S
12 REALLY HELPED ME COMPILE THE WORKING GROUP. I
13 ASSUME MOST OF YOU KNOW HE WON'T BE THE CHAIRMAN AT
14 THE NEXT MEETING, SO I REALLY, J.T., WANTED, YOUR
15 PREFERENCE IN SPORTS TEAMS NOTWITHSTANDING, JUST
16 OFFER YOU MY MOST SINCERE AND HEARTFELT THANKS FOR
17 EVERYTHING YOU'VE DONE TO HELP ME IN THIS JOURNEY.
18 SO THANK YOU.

19 CHAIRMAN THOMAS: THANK YOU VERY MUCH,
20 GEOFF. IT'S BEEN A PLEASURE TO WORK WITH ALL OF YOU
21 TODAY AND ALL THESE MANY YEARS IN DIFFERENT
22 CAPACITIES WITH MANY OF YOU OVER TIME. I THINK THE
23 ORGANIZATION IS IN GREAT SHAPE. AND WITH THE
24 ONGOING KNOWLEDGE AND SUPPORT THAT ALL OF YOU GIVE
25 TO IT, IT WILL ONLY RISE TO BIGGER AND BETTER

BETH C. DRAIN, CA CSR NO. 7152

1 HEIGHTS. JEFF, THANK YOU. GEOFF LOMAX, THANK YOU
2 FOR ALL YOU'VE DONE IN PUTTING THIS MEETING
3 TOGETHER. I THINK IT'S BEEN A GREAT MEETING. AND
4 JEFF AND FRED, AS CO-CHAIRS, FOR ALL YOUR INPUT PRE
5 AND DURING THE MEETING. I THINK IT'S BEEN GREAT.

6 SO THANK YOU VERY MUCH FOR THE COMMENTS,
7 GEOFF. I REALLY DO APPRECIATE IT AND LOOK FORWARD
8 TO ROOTING YOU GUYS ON FROM AFAR DOWN THE ROAD. SO
9 THANK YOU.

10 CO-CHAIRMAN FISHER: THANK YOU, J.T.
11 YOU'RE WELCOME ANY TIME.

12 CO-CHAIRMAN KAHN: I WOULD SECOND THAT.
13 I'M SORRY I DIDN'T GET TO HAVE MORE MEETINGS WITH
14 YOU, BUT LOOKING FORWARD TO SOMEHOW CONNECTING DOWN
15 THE ROAD. ALL RIGHT.

16 FRED, YOU HAVE ANY FAREWELL COMMENTS?

17 CO-CHAIRMAN FISHER: I CAN SAY THE WORDS
18 EVERYONE IS LONGING TO HEAR, MEETING ADJOURNED.

19 CO-CHAIRMAN KAHN: THANK YOU ALL. WE'LL
20 LOOK FORWARD TO THE NEXT MEETING. HOPEFULLY WE CAN
21 ALL BE TOGETHER IN THE SAME PLACE.

22 (THE MEETING WAS THEN CONCLUDED AT 1:54 P.M.)
23
24
25

BETH C. DRAIN, CA CSR NO. 7152

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE VIRTUAL PROCEEDINGS BEFORE THE SCIENTIFIC AND MEDICAL ACCOUNTABILITY STANDARDS WORKING GROUP OF THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD ON JANUARY 5, 2023, WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CA CSR 7152
133 HENNA COURT
SANDPOINT, IDAHO
(208) 920-3543

**133 HENNA COURT, SANDPOINT, IDAHO 83864
208-920-3543 DRAIBE@HOTMAIL.COM**