

BETH C. DRAIN, CA CSR NO. 7152

BEFORE THE
SCIENCE SUBCOMMITTEE OF THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: VIA ZOOM

DATE: OCTOBER 14, 2022
10 A.M.

REPORTER: BETH C. DRAIN, CA CSR
CSR. NO. 7152

FILE NO.: 2022-38

BETH C. DRAIN, CA CSR NO. 7152

I N D E X

ITEM DESCRIPTION	PAGE NO.
OPEN SESSION	
1. CALL TO ORDER	3
2. ROLL CALL	3
ACTION ITEMS	
3. CONSIDERATION OF SHARED RESOURCES LABS CONCEPT PLAN	5
DISCUSSION ITEMS	
4. PUBLIC COMMENT	NONE
5. ADJOURNMENT	39

BETH C. DRAIN, CA CSR NO. 7152

1 FRIDAY, OCTOBER 14, 2022; 10 A.M.

2

3 CHAIRMAN GOLDSTEIN: MARIA MILLAN,
4 CONGRATULATIONS.

5 DR. MILLAN: THANK YOU SO MUCH. IT WAS A
6 GREAT HONOR, AND IT WAS REALLY JUST WONDERFUL TO
7 HAVE CIRM RECOGNIZED. THANK YOU TO THE BOARD
8 MEMBERS, J.T., ART, AND YSABEL WHO WERE ABLE TO MAKE
9 IT LAST NIGHT. THANK YOU. AND TEAM MEMBERS WHO
10 WERE THERE.

11 MR. TORRES: STEVE JUELSGAARD.

12 DR. MILLAN: AND STEVE JUELSGAARD.
13 ABSOLUTELY.

14 CHAIRMAN GOLDSTEIN: GREAT. LET'S GET
15 GOING. SO LET ME CALL US TO ORDER AND ASK MARIANNE
16 TO CALL THE ROLL.

17 MS. DEQUINA-VILLABLANCA: DOUG, I WANT TO
18 BE SURE THAT THE RECORDING HAS STARTED. GOT IT.

19 CHAIRMAN GOLDSTEIN: I THOUGHT IT DID.

20 MS. DEQUINA-VILLABLANCA: HAIFAA ABDULHOC.
21 DEBORAH DEAS.

22 DR. DEAS: HERE.

23 MS. DEQUINA-VILLABLANCA: MARK
24 FISCHER-COLBRIE.

25 DR. FISCHER-COLBRIE: HERE.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. DEQUINA-VILLABLANCA: ELENA FLOWERS.
2 DR. FLOWERS: PRESENT.
3 MS. DEQUINA-VILLABLANCA: JUDY GASSON.
4 DR. GASSON: HERE.
5 MS. DEQUINA-VILLABLANCA: LARRY GOLDSTEIN.
6 CHAIRMAN GOLDSTEIN: I'M HERE.
7 MS. DEQUINA-VILLABLANCA: DAVID HIGGINS.
8 PAT LEVITT.
9 DR. LEVITT: HERE.
10 MS. DEQUINA-VILLABLANCA: SHLOMO MELMED.
11 DR. MELMED: HERE.
12 MS. DEQUINA-VILLABLANCA: CHRISTINE
13 MIASKOWSKI. JONATHAN THOMAS.
14 CHAIRMAN THOMAS: HERE.
15 MS. DEQUINA-VILLABLANCA: ART TORRES.
16 CHAIRMAN THOMAS: BACK IN ONE SECOND.
17 MS. DEQUINA-VILLABLANCA: ART, DID I HEAR
18 YOU? IS HE ON?
19 MS. BONNEVILLE: HE'S THERE. HE SAID
20 PRESENT.
21 MS. DEQUINA-VILLABLANCA: GOT IT. SORRY.
22 DIDN'T HEAR YOU.
23 KAROL WATSON.
24 DR. WATSON: HERE.
25 MS. DEQUINA-VILLABLANCA: KEITH YAMAMOTO.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. YAMAMOTO: HERE.

2 MS. DEQUINA-VILLABLANCA: ALL RIGHT. WE
3 HAVE A QUORUM.

4 CHAIRMAN GOLDSTEIN: FANTASTIC. WE HAVE
5 ONE MAJOR ITEM THIS MORNING, WHICH IS PRESENTATION
6 OF THE CONCEPT PLAN FOR SHARED LABS. WHO'S GOING?

7 DR. GRIESHAMMER: IT WILL BE ME.

8 CHAIRMAN GOLDSTEIN: OKAY. GO FOR IT,
9 UTA.

10 DR. GRIESHAMMER: THANK YOU. I'M GOING TO
11 SHARE MY SCREEN. CAN YOU GUYS SEE MY POWERPOINT
12 RIGHT NOW?

13 MR. TORRES: YES.

14 DR. GRIESHAMMER: IN FULL PRESENTATION
15 MODE. ALL RIGHT. VERY GOOD.

16 SO THANK YOU, DR. GOLDSTEIN, AND GOOD
17 MORNING, EVERYONE. I'LL BE PRESENTING THE PROPOSED
18 CONCEPT FOR SHARED RESOURCE LABORATORIES FOR STEM
19 CELL-BASED MODELING. THIS IS AN INFRASTRUCTURE
20 PROGRAM. IT'S DESIGNED TO ALIGN WITH CIRM'S MISSION
21 TO ACCELERATE WORLD-CLASS SCIENCE AND TO DELIVER
22 TRANSFORMATIVE REGENERATIVE MEDICINE TREATMENTS IN
23 AN EQUITABLE MANNER TO A DIVERSE CALIFORNIA AND
24 WORLD.

25 SHARED RESOURCE LABORATORIES, IF APPROVED,

BETH C. DRAIN, CA CSR NO. 7152

1 WILL BE THE FIRST OF THE COMPETENCY HUBS ENVISIONED
2 IN OUR STRATEGIC PLAN UNDER ADVANCING WORLD-CLASS
3 SCIENCE. THIS PROGRAM ALSO TOUCHES ON BUILDING
4 KNOWLEDGE NETWORKS AND BUILDING A DIVERSE AND HIGHLY
5 SKILLED WORKFORCE.

6 SO BEFORE I INTRODUCE YOU TO THE CONCEPT
7 FOR SHARED RESOURCE LABS FOR STEM CELL-BASED
8 MODELING AND GO OVER THE OPPORTUNITIES AND NEEDS WE
9 INTEND TO ADDRESS, I'D LIKE TO PROVIDE SOME
10 HISTORICAL CONTEXT FOR THE STEM CELL-BASED MODELING
11 FIELD.

12 SO AS YOU ALL KNOW, IN 1998 THE DERIVATION
13 OF HUMAN EMBRYONIC STEM CELLS WAS FIRST PUBLISHED.
14 A FEW YEARS LATER IN 2001, FEDERAL FUNDING FOR HUMAN
15 EMBRYONIC STEM CELL RESEARCH, WHILE AFFIRMED, WAS
16 RESTRICTED TO THE EXISTING HUMAN EMBRYONIC STEM CELL
17 LINES AT THE TIME. IN 2004 CALIFORNIA VOTERS THEN
18 APPROVED PROPOSITION 71, LEADING TO THE CREATION OF
19 CIRM. AND ONE OF THE INFRASTRUCTURE PROGRAMS CIRM
20 PUT INTO PLACE TO PROVIDE CALIFORNIA RESEARCHERS
21 WITH ACCESS TO CRITICAL FUNDING FOR HUMAN EMBRYONIC
22 STEM CELL RESEARCH WAS THE SHARED LABS AWARDS
23 PROGRAM, PROVIDING ACCESS TO DEDICATED RESEARCH
24 SPACE, TO SPECIALIZED INSTRUMENTATION, HUMAN
25 EMBRYONIC STEM CELLS LINES, AND TRAINING FOR

BETH C. DRAIN, CA CSR NO. 7152

1 RESEARCHERS AND STUDENTS, MAKING THE EMERGING FIELD
2 OF HUMAN EMBRYONIC STEM CELL RESEARCH MORE
3 ACCESSIBLE TO CALIFORNIA RESEARCHERS.

4 DURING THE TIME THE SHARED LABS WERE
5 ACTIVE, TWO IMPORTANT EVENTS OCCURRED. IN 2007 THE
6 DERIVATION OF HUMAN INDUCED PLURIPOTENT STEM CELL
7 LINES WAS FIRST PUBLISHED, AND THEN IN 2009 THE
8 FEDERAL GOVERNMENT LIFTED THE LIMITATIONS THAT HAD
9 BEEN PLACED BY THE PREVIOUS ADMINISTRATION.

10 SO ONE OF THE MAIN APPLICATIONS OF HUMAN
11 PLURIPOTENT STEM CELLS LIES IN THE USE OF STEM CELLS
12 TO CREATE MODELS OF HUMAN DISEASE BY DIFFERENTIATING
13 THE CELLS INTO CELL TYPES RELEVANT FOR THE DISEASE
14 UNDER INVESTIGATION. OVER THE LAST TWO DECADES,
15 MUCH PROGRESS HAS BEEN MADE IN REFINING THE
16 DIFFERENTIATION PROTOCOLS AND CREATING MORE COMPLEX
17 MODELS IN A DISH, SUCH AS ORGANOIDES AND TISSUES ON
18 CHIPS, BUT CHALLENGES REMAIN, AND I'LL GO OVER THAT
19 IN THE NEXT SLIDE.

20 ANOTHER GROUNDBREAKING DISCOVERY THAT'S
21 IMPORTANT FOR THESE STEM CELL-BASED MODELS WAS
22 REPORTED IN 2012, DEMONSTRATING THE CRISPR-CAS9
23 SYSTEM, DEMONSTRATING THAT NATURALLY OCCURRING
24 GENOME EDITING SYSTEM IN BACTERIA CAN BE USED AS A
25 GENOME EDITING TOOL IN OTHER CELLS, INCLUDING HUMAN

BETH C. DRAIN, CA CSR NO. 7152

1 CELLS, MAKING STEM CELL-BASED MODELS AN EVEN MORE
2 POWERFUL TOOL FOR STUDYING DISEASES AND DISCOVERING
3 NEW BIOMARKERS AND THERAPEUTIC TARGETS.

4 WITH THE PASSAGE NOW OF PROPOSITION 14 IN
5 2020, WE HAVE AN OPPORTUNITY TO LEVERAGE EXISTING
6 CAPABILITIES AND ADDRESS NEEDS IN THE FIELD AND TO
7 HELP ADVANCE WORLD-CLASS SCIENCE BY ESTABLISHING
8 CORE RESOURCES ACROSS THE STATE. AND IF APPROVED,
9 THE CIRM SHARED RESOURCE LABS WOULD START OPERATIONS
10 IN '23-'24. SO ACTUALLY WOULD BE FUNDED IN THAT
11 TIME FRAME AND START OPERATIONS SOMETIME LATER.
12 I'LL SHOW THAT LATER.

13 SO WHAT ARE THE OPPORTUNITIES, AND WHAT
14 ARE THE NEEDS THAT WE INTEND TO ADDRESS WITH THE
15 SHARED RESOURCE LABS CONCEPT? THERE'S ABUNDANT
16 SCIENTIFIC STRENGTH, INNOVATION, AND EXPERTISE IN
17 THE CALIFORNIA RESEARCH COMMUNITY TO CAPITALIZE ON
18 THE PROMISE OF STEM CELL-BASED MODELING, AND WE CAN
19 ALSO LEVERAGE SOME OF THE INFRASTRUCTURE, SOME
20 SUPPORTED WITH PROPOSITION 71 SHARED LABS AS CORE
21 LABS AND PROVIDERS OF TRAINING AND COURSES.

22 BASED ON STAKEHOLDER INPUT THAT WE HAVE
23 GATHERED THROUGH TOWN HALLS, SURVEYS, AND WORKSHOPS,
24 THERE ARE IMPORTANT NEEDS THAT CAN BE ADDRESSED WITH
25 A SHARED RESOURCE LABS PROGRAM. THERE ARE MANY

BETH C. DRAIN, CA CSR NO. 7152

1 LABORATORIES THAT DO NOT YET USE STEM CELL-BASED
2 MODELING, BUT ARE INTERESTED IN ACQUIRING THAT
3 EXPERTISE. AND ON THE OTHER HAND, THERE ARE MANY
4 LABORATORIES IN CALIFORNIA THAT ARE WELL VERSED IN
5 STEM CELL-BASED MODELING AND ARE ALREADY SHARING
6 THEIR EXPERTISE, BUT CAN'T REALLY MEET THE DEMAND AS
7 IT IS TIME CONSUMING AND COSTLY TO DIVERT RESOURCES
8 TO TRAINING OF OTHERS IN THE TECHNOLOGY.

9 SO IN ADDITION TO THESE INFRASTRUCTURE
10 NEEDS, THERE ARE ALSO SCIENTIFIC CHALLENGES IN THE
11 FIELD, THAT THE FIELD IS FACING RELATED TO THE
12 LIMITED REPRODUCIBILITY OF FINDINGS ACROSS LABS AND
13 MODELS USED. AND THERE'S ALSO A NEED TO BETTER
14 UNDERSTAND THE PREDICTIVE VALUE OF STEM CELL-BASED
15 MODELS FOR HUMAN BIOLOGY AND DISEASE.

16 BASED ON THESE OPPORTUNITIES AND NEEDS, WE
17 ARE PROPOSING TO FUND A SHARED RESOURCE LABS PROGRAM
18 THAT WOULD HAVE THE FOLLOWING FUNCTIONS. IT WOULD
19 PROVIDE RESEARCHERS LOCALLY AT GRANTEE INSTITUTIONS
20 AND REGIONALLY AT NEIGHBORING INSTITUTIONS WITH
21 ACCESS TO CELL CULTURE FACILITIES FOR CONDUCTING
22 STEM CELL-BASED MODELING EXPERIMENTS AND ACCESS TO
23 HIGHLY SPECIALIZED TECHNOLOGIES THAT ARE NEEDED FOR
24 THE IMPLEMENTATION AND ANALYSIS OF THESE STEM
25 CELL-BASED MODELS.

BETH C. DRAIN, CA CSR NO. 7152

1 THE PROGRAM WOULD ALSO PROVIDE RESEARCHERS
2 LOCALLY AND ACROSS CALIFORNIA ACCESS TO
3 WELL-CHARACTERIZED, UNMODIFIED, OR MODIFIED HUMAN
4 PLURIPOTENT STEM CELL COLLECTIONS. IT WOULD PROVIDE
5 ACCESS TO PARTIALLY OR FULLY DIFFERENTIATED STEM
6 CELL MODELS AND WOULD PROVIDE ACCESS TO TRAINING OF
7 RESEARCHERS AND CONDUCTING STEM CELL-BASED MODELING
8 EXPERIMENTS.

9 THE SHARED RESOURCE LABS PROGRAM WOULD
10 ALSO PROVIDE EDUCATORS, INCLUDING THOSE IN CIRM'S
11 EDUCATION PROGRAMS, WITH ACCESS TO FORMAL TECHNIQUES
12 COURSES FOR STUDENT EDUCATION. AND WE'D ASK
13 APPLICANTS TO BE CREATIVE AND OFFER OTHER STUDENT
14 EXPERIENCES WITH STEM CELL-BASED MODELING TO ATTRACT
15 STUDENTS INTO THE STEM CELL FIELD.

16 AND, FINALLY, WE EXPECT FUNDED SHARED
17 RESOURCE LABS TO BECOME SELF-SUSTAINING BY THE END
18 OF THE AWARD PERIOD.

19 SO NOT ALL LABORATORIES WITH RESEARCH
20 AGENDAS AND HYPOTHESES THAT COULD BE ADDRESSED ARE
21 USING STEM CELL-BASED MODELS HAVE ACCESS TO RELEVANT
22 LOCAL INFRASTRUCTURE AND EXPERTISE. AND SO TO
23 ENSURE BROAD ACCESS TO STEM CELL-BASED MODELS ACROSS
24 CALIFORNIA, WE ENVISION TWO TYPES OF SHARED RESOURCE
25 LABORATORIES.

BETH C. DRAIN, CA CSR NO. 7152

1 ONE THAT WE CALL ESTABLISHING SHARED
2 RESOURCE LABS, AND I WILL OCCASIONALLY REFER TO
3 SHARED RESOURCE LABS AS SRL'S. SO ESTABLISHING
4 SRL'S WILL PROVIDE ACCESS TO STEM CELL-BASED
5 MODELING EXPERTISE IN GEOGRAPHIC AREAS WHERE ACCESS
6 TO MODELS IS CURRENTLY LIMITED WHILE THE ENHANCING
7 AND EXPANSION SRL'S TARGET INSTITUTIONS THAT HAVE
8 CUTTING-EDGE STEM CELL-BASED MODELING EXPERTISE.

9 ESTABLISHING SRL'S WOULD BE FUNDED TO
10 RENOVATE SPACE FOR A CORE FACILITY AND THEN EQUIP IT
11 WHILE ENHANCING EXPANSION SRL'S WOULD BE FUNDED TO
12 EQUIP EXISTING CORE SPACE. BOTH TYPES OF SRL WOULD
13 THEN BE EXPECTED TO ESTABLISH THE EXPERTISE IN THE
14 STEM CELL-BASED MODELS IN THEIR CORE FACILITIES, AND
15 THEY WOULD THEN OPERATE THE CORES BY PROVIDING
16 ACCESS TO THE CORE FACILITY AND SPECIALIZED SERVICES
17 AND EQUIPMENT. THEY WOULD SHARE THEIR MODELS AND
18 EXPERTISE AND RESOURCES BROADLY. THEY WILL TRAIN
19 RESEARCHERS AND WOULD PROVIDE EDUCATIONAL RESOURCES
20 AND ACTIVITIES.

21 WE WOULD ASK FOR APPLICATIONS TO PROPOSE
22 ALSO FORMAL TECHNIQUES COURSES, AND THIS WOULD BE
23 OPTIONAL, AND WE WOULD PROVIDE EXTRA FUNDS FOR THOSE
24 APPLICANTS WHO WILL OFFER SUCH COURSES.

25 SINCE THE TWO SHARED RESOURCE LAB TYPES

BETH C. DRAIN, CA CSR NO. 7152

1 DIFFER IN FOCUS AND SCOPE, APPLICATIONS WILL BE
2 SOLICITED THROUGH TWO SEPARATE RFA'S, AND THEIR
3 NAMES ARE INDICATED RIGHT HERE.

4 SO ONCE FUNDED, ALL SHARED RESOURCE LABS
5 ARE EXPECTED TO FUNCTION AS PART OF A NETWORK, AND
6 CIRM WILL COORDINATE A STEERING COMMITTEE THAT WILL
7 DRIVE NETWORK FUNCTIONS. AND I WILL DESCRIBE THIS
8 STEERING COMMITTEE AND ITS FUNCTIONS IN A FEW SLIDES
9 DOWN. THE INDIVIDUAL SHARED LABS AND THE NETWORK AS
10 A WHOLE WILL THEN WORK TO ACHIEVE THE OVERALL
11 OBJECTIVE OF THIS FUNDING OPPORTUNITY TO PROVIDE
12 BROAD ACCESS TO MODELS, STEM CELL-BASED MODELS,
13 ACROSS CALIFORNIA, TO ADVANCE STANDARDS AND
14 REPRODUCIBILITY IN THE STEM CELL-BASED MODELING
15 FIELD, TO PROVIDE ACCESS TO EDUCATIONAL
16 OPPORTUNITIES, AND TO DEVELOP A SUSTAINABLE STEM
17 CELL CORE INFRASTRUCTURE IN CALIFORNIA.

18 AS FOR THE FUNDING, WE WOULD OFFER PER
19 AWARD AS LISTED IN THE TOP ROW HERE. THE TWO AWARD
20 AMOUNTS WITHIN EACH SRL TYPE REFLECT FUNDS NEEDED
21 FOR OFFERING A FORMAL STEM CELL TECHNIQUES COURSE,
22 WHICH IS OPTIONAL. AND THE TWO AWARD AMOUNTS, THE
23 DIFFERENCE BETWEEN THE TWO AWARD AMOUNTS BETWEEN THE
24 TWO SRL TYPES REFLECT THE RENOVATION FUNDS THAT
25 WOULD BE PROVIDED FOR THE ESTABLISHING SRL'S.

BETH C. DRAIN, CA CSR NO. 7152

1 THE AWARD DURATION FOR THESE AWARDS WILL
2 BE FIVE YEARS. THE MAIN GOAL OF THE ESTABLISHING
3 SHARED RESOURCE LABS IS TO ESTABLISH NEW RESOURCES
4 AND EXPERTISE AND TO HAVE A NOVEL IMPACT IN THE
5 LOCAL SCIENTIFIC COMMUNITY WHILE THE MAIN GOAL OF
6 THE ENHANCING EXPANSION SRL'S WOULD BE TO SHARE THE
7 EXISTING EXPERTISE, BOTH LOCALLY, BUT ALSO ACROSS
8 CALIFORNIA.

9 COFUNDING WILL BE REQUIRED FROM ENHANCING
10 EXPANSION SRL'S WITH AT LEAST 20 PERCENT OF
11 OPERATIONAL COSTS COVERED BY THOSE AWARDS, BUT SUCH
12 COFUNDING WILL NOT BE REQUIRED FROM THE ESTABLISHING
13 SRL'S.

14 THE TOTAL BUDGET REQUESTED FOR THIS
15 PROGRAM WOULD BE \$50 MILLION WITH 26 MILLION FOR
16 BUILD AND EQUIP FUNDS, AND THE BUILD AND EQUIP, AS
17 SHOWN HERE, WITH 24 MILLION FOR OPERATIONAL FUNDS.

18 SO I HAVE NOW A FEW SLIDES TO GO OVER SOME
19 OF THE DETAILS OF THIS CONCEPT PROPOSAL, HOW IT
20 WOULD BE RUN, HOW THE AWARDS BE RUN AND
21 ADMINISTERED. WE ARE PROPOSING TO DIVIDE THESE
22 FIVE-YEAR AWARDS INTO THREE PHASES, PHASES A, B, AND
23 C. SHOWN HERE ARE THE OUTCOME METRICS FOR EACH OF
24 THE PHASES FOR THE ESTABLISHING SHARED RESOURCE
25 LABS.

BETH C. DRAIN, CA CSR NO. 7152

1 BY THE END OF PHASE A, WHICH LASTS 18
2 MONTHS, THE SHARED RESOURCE LABS CORE FACILITY
3 SHOULD BECOME OPERATIONAL AND SHOULD BE RENOVATED
4 AND EQUIPPED STEM CELL-BASED MODELS AND THE RESEARCH
5 TRAINING AND STUDENT EDUCATIONAL PROGRAMS SHOULD BE
6 ESTABLISHED.

7 IN PHASE B, WHICH LASTS TWO YEARS AND
8 ENCOMPASSES CIRM-SUPPORTED OPERATIONS, FULLY
9 CIRM-SUPPORTED OPERATIONS THAT ARE MEASURED BY THE
10 UTILIZATION RATE OF THE CORE FACILITY WITH A FOCUS
11 ON LABS THAT HAVE LIMITED ACCESS TO STEM CELL-BASED
12 MODELING EXPERTISE CURRENTLY. WE WOULD LOOK AT THE
13 SUSTAINED ENROLLMENT AND RESEARCHER TRAINING AND
14 STUDENT EDUCATIONAL PROGRAMS. WE WOULD LOOK AT THE
15 SUCCESS RATE OF PROJECTS UTILIZING THE CORE, SUCH AS
16 THE DATA THAT IS BEING GENERATED IN EARLY
17 PUBLICATIONS, LEVERAGED FUNDING. AND WE'D EXPECT
18 THE DELIVERY OF A PLAN FOR OPERATIONS AT 50 PERCENT
19 OF CIRM FUNDING DURING THE DELIVERY OF THIS PLAN
20 DURING PHASE B IN PREPARATION FOR PHASE C, THE FINAL
21 18 MONTHS, WHICH WILL CONTINUE OPERATIONS AT 50
22 PERCENT CIRM OPERATIONAL FUNDING.

23 THE SAME SUCCESS METRICS FROM PHASE B
24 WOULD APPLY PLUS WE'D EXPECT DELIVERY OF A PLAN FOR
25 INDEPENDENT OPERATIONS HALFWAY THROUGH THIS PHASE,

BETH C. DRAIN, CA CSR NO. 7152

1 AND WE WOULD CERTAINLY EXPECT ALSO ALREADY DURING
2 PHASE B AND IN PHASE C OVERALL CONTRIBUTIONS TO THE
3 SHARED RESOURCE LAB NETWORK FUNCTIONS.

4 NOW, THIS SLIDE IS VERY SIMILAR TO THE
5 PREVIOUS ONE, ELABORATING ON THE ENHANCING EXPANSION
6 SHARED RESOURCE LABS. THE PHASING IS DIFFERENT AND
7 THE OUTCOME METRICS ARE VERY SIMILAR. PHASE A FOR
8 THE ENHANCING EXPANSION SRL'S WOULD BE JUST SIX
9 MONTHS SINCE THERE IS NO BUILD PHASE FOR THOSE
10 SHARED LABS. AND DURING THIS PHASE THE OUTCOMES
11 WOULD BE VERY SIMILAR, ALMOST IDENTICAL, TO THE ONES
12 FOR THE ESTABLISHING SRL'S.

13 IN PHASE B, WHICH WOULD LAST 30 MONTHS OR
14 TWO AND A HALF YEARS, FOR CIRM SUPPORTED OPERATIONS,
15 AGAIN, IT WOULD BE MEASURED BY THE UTILIZATION RATE
16 OF THE CORE FACILITY, SUSTAINED ENROLLMENT, AND
17 RESEARCHER TRAINING AND EDUCATIONAL PROGRAMS, THE
18 LEVEL OF BROAD -- HERE WE WOULD ALSO LOOK AT THE
19 LEVEL OF BROAD SHARING OF MODELS ACROSS CALIFORNIA,
20 THE SUCCESS RATE OF THE PROJECTS THAT ARE UTILIZING
21 THE CORE AND THAT ARE UTILIZING IN THIS CASE ALSO
22 THE BROADLY SHARED MODELS. AND, AGAIN, WE WOULD
23 LOOK FOR DELIVERY OF A PLAN FOR OPERATIONS AT 50
24 PERCENT.

25 AND THEN THE FINAL PHASE C, TWO YEARS

BETH C. DRAIN, CA CSR NO. 7152

1 WOULD HAVE, AGAIN, THE SAME METRICS AS PHASE B,
2 DELIVERY OF A PLAN FOR INDEPENDENT OPERATIONS AND
3 CONTRIBUTIONS TO SHARED RESOURCE LAB NETWORK
4 FUNCTIONS.

5 SO I'D LIKE TO GO OVER SOME OF THE
6 ELIGIBILITY REQUIREMENTS FOR THE SHARED RESOURCE
7 LABS PROGRAM AND SOME OF THE PROP 14 STIPULATIONS
8 THAT ARE ADDRESSED BY THIS PROGRAM. WE WOULD
9 REQUIRE THAT THE MODELING EXPERTISE THAT IS OFFERED
10 WITHIN THE SHARED RESOURCE LABS BE LIMITED TO IN
11 VITRO MODELS USING HUMAN STEM OR PROGENITOR CELLS.
12 CALIFORNIA NONPROFIT RESEARCH INSTITUTIONS WOULD BE
13 ELIGIBLE, AND WE WOULD EXPECT -- AND WE WOULD ONLY
14 ACCEPT ONE APPLICATION PER INSTITUTION. THE PROGRAM
15 DIRECTORS THAT RUN THESE SHARED RESOURCE LABS MUST
16 COMMIT AT LEAST 20 PERCENT EFFORT.

17 PURSUANT TO PROPOSITION 14, SHARED
18 RESOURCE LABS ARE INTENDED TO BE OPERATIONAL IN THE
19 FIRST FIVE YEARS FOLLOWING THE EFFECTIVE DATE OF THE
20 INITIATIVE. IN THIS CASE INITIATIVE MEANS THE
21 PROPOSITION 14 WHICH WAS EFFECTIVE DECEMBER 2020.
22 SO, THEREFORE, THE APPLICATIONS MUST PROPOSE PLANS
23 THAT ARE ACHIEVABLE WITHIN THE PHASES THAT ARE
24 OUTLINED IN THE PREVIOUS SLIDE, ESPECIALLY PHASE A
25 THAT LEADS TO OPERATIONS BEING READY OF THESE SHARED

1 LABS.

2 CIRM SHALL ALSO, ACCORDING TO PROPOSITION
3 14, ENHANCE THE GEOGRAPHIC DISTRIBUTION OF RESOURCES
4 ACROSS THE STATE AND WOULD PRIORITIZE APPLICATIONS
5 THAT OFFER MATCHING FUNDS. AND SO THOSE
6 STIPULATIONS ARE BUILT INTO THIS CONCEPT.

7 I'D LIKE TO GO BRIEFLY OVER THE PLANS THAT
8 WE EXPECT APPLICANTS TO PROVIDE PLANS TO ADDRESS
9 DIVERSITY, EQUITY, AND INCLUSION IN THEIR PROJECTS.
10 THESE PLANS SHOULD PROPOSE ACTIVITIES -- THESE PLANS
11 SHOULD DESCRIBE HOW THE PROPOSED ACTIVITIES WILL
12 ENSURE THAT USERS OF THE SHARED CORES AND RECIPIENTS
13 OF THE STEM CELL-BASED MODELS REPRESENT DIVERSE
14 GOALS, APPROACHES, PERSPECTIVES, AND BACKGROUNDS.
15 THEY SHOULD DESCRIBE HOW ANY PROPOSED EDUCATIONAL
16 ACTIVITIES WILL ENSURE PARTICIPATION BY UNDERSERVED
17 POPULATIONS. SHOULD ALSO DESCRIBE HOW THE SHARED
18 RESOURCES LABS TEAMS AND OTHER CONTRIBUTORS WILL
19 BRING DIVERSE AND INCLUSIVE PERSPECTIVES AND
20 EXPERIENCES TO THE IMPLEMENTATION OF THE PROPOSED
21 ACTIVITIES. AND THEY SHOULD DESCRIBE HOW THE SHARED
22 RESOURCE LABS WILL OFFER STEM CELL LINES ANCESTRAL
23 AND SEX DIVERSITY THAT MAY INCREASE THE
24 APPLICABILITY OF THE RESEARCH OUTCOMES TO DIVERSE
25 POPULATIONS.

BETH C. DRAIN, CA CSR NO. 7152

1 THE SHARED RESOURCE LAB APPLICATIONS MUST
2 ALSO INCLUDE A KNOWLEDGE SHARING PLAN TO DESCRIBE
3 PROCESSES AND SYSTEMS THE APPLICANT PROPOSES FOR
4 ADVERTISING ACCESS TO THEIR MODELS FOR SHARING AND
5 BEST PRACTICES, FOR CREATING AND USING MODELS, AND
6 FOR SHARING THEIR KNOWLEDGE AND OTHER RESOURCES.
7 THEY SHOULD ALSO DESCRIBE APPROACHES TOWARDS
8 STANDARDIZING THE CELL LINES AND REAGENTS AND
9 APPROACHES FOR THE QUALITY CONTROL AND VALIDATION
10 THAT WILL BE USED FOR THE MODELS.

11 SHARED RESOURCE LAB APPLICATIONS MUST ALSO
12 INCLUDE A DATA SHARING AND MANAGEMENT PLAN. SINCE
13 DATA GENERATED DURING SHARED RESOURCE LAB
14 OPERATIONS, SUCH AS OMICS AND FAQ'S DATA FOR CELL
15 MODEL QUALITY CONTROL AND VALIDATION, MAY BE USEFUL
16 FOR DEVELOPING APPROACHES TOWARD IMPROVING
17 REPRODUCIBILITY OF STEM CELL-BASED MODELS. THAT'S
18 WHY THE DATA GENERATED IN THE RESEARCH THAT'S
19 SUPPORTED BY THESE SHARED RESOURCE LABS WILL FOLLOW
20 THEIR OWN DATA SHARING PLANS THAT ARE INHERENT TO
21 THE RESEARCH PROJECT. WE ARE INTERESTED IN
22 CAPTURING THE DATA THAT IS GENERATED AS PART OF
23 OPERATIONS.

24 SO THIS IS -- I THINK I'M ALMOST DONE,
25 SECOND TO LAST SLIDE. BUT I WANT TO GO OVER THE

BETH C. DRAIN, CA CSR NO. 7152

1 STEERING COMMITTEE, THE NETWORK FUNCTIONS OF THE
2 SHARED LABS. THE KNOWLEDGE AND DATA SHARING PLANS I
3 DESCRIBED ON THE PREVIOUS SLIDE WILL BE A STARTING
4 POINT FOR THESE NETWORK FUNCTIONS.

5 SO CIRM, AS I SAID, WILL COORDINATE A
6 STEERING COMMITTEE OF THE AWARDEES AND EXTERNAL
7 STAKEHOLDERS TO FACILITATE THE DEVELOPMENT OF
8 PROCESSES AND SYSTEMS FOR SHARING NETWORKWIDE
9 OFFERINGS, BEST PRACTICES, KNOWLEDGE, AND RESOURCES.
10 THIS WOULD ALSO FACILITATE THE IMPLEMENTATION OF
11 QUALITY STANDARDS, MATERIALS, AND CELL LINES ACROSS
12 THE NETWORK, AND WOULD FACILITATE THE DEVELOPMENT OF
13 COLLABORATIVE APPROACHES WITHIN THIS NETWORK TO HELP
14 OR THINK ABOUT IMPROVING REPRODUCIBILITY OF STEM
15 CELL-BASED MODELS.

16 SO IN MY LAST SLIDE HERE I PROVIDE A
17 SUMMARY OF THE CONCEPT FOR SHARED RESOURCE LABS FOR
18 STEM CELL-BASED MODELING. THE PROGRAM BUDGET WE ARE
19 PROPOSING AS \$50 MILLION TOTAL WITH BUILD AND EQUIP
20 FUNDS UP TO \$26 MILLION AND OPERATION FUNDS OF UP TO
21 \$24 MILLION. THE AWARD CAPS FOR ESTABLISHING SRL'S
22 IS 5.4 AND \$4.4 MILLION DEPENDING ON WHETHER THEY
23 OFFER A TECHNIQUES COURSE. AND THE AWARD CAPS FOR
24 ENHANCING AND EXPANSION SRL'S WOULD BE \$4.3 MILLION
25 OR \$3 MILLION, AGAIN, DEPENDING ON WHETHER THEY'RE

BETH C. DRAIN, CA CSR NO. 7152

1 OFFERING A TECHNIQUES COURSE.

2 THE ALLOWABLE COSTS ARE FACILITIES BUILD
3 OR I SHOULD SAY BUILD COSTS, RENOVATION COSTS FOR
4 THE EXPANSION SRL'S ONLY, AND THEN DIRECT PROJECT
5 COSTS, FACILITIES COSTS, AND INDIRECT COSTS. AND
6 THEN, AS I MENTIONED, COFUNDING IS REQUIRED AT 20
7 PERCENT OF OPERATIONAL COSTS FOR THE ENHANCING
8 EXPANSION SHARED RESOURCE LABS. CALIFORNIA
9 NONPROFIT RESEARCH INSTITUTIONS ARE ELIGIBLE TO
10 APPLY.

11 SO I'D LIKE TO ASK AT THE VERY END OF THIS
12 PRESENTATION NOW FOR YOUR APPROVAL TO TAKE THIS
13 CONCEPT TO THE ICOC IN A FEW WEEKS. THANK YOU.
14 HAPPY TO ANSWER ANY QUESTIONS.

15 CHAIRMAN GOLDSTEIN: SO WHY DON'T WE HAVE
16 A MOTION TO PASS, AND THEN WE CAN OPEN IT FOR
17 DISCUSSION.

18 MR. TORRES: MOVE TO APPROVE.

19 DR. MELMED: SECOND.

20 CHAIRMAN GOLDSTEIN: WE HAD A SECOND THERE
21 SOMEWHERE.

22 MS. BONNEVILLE: DR. MELMED.

23 CHAIRMAN GOLDSTEIN: OKAY. THANK YOU VERY
24 MUCH. OKAY. WE ARE NOW OPEN FOR DISCUSSION. ART.

25 MR. TORRES: YES. THANK YOU. UTA, MAYBE

BETH C. DRAIN, CA CSR NO. 7152

1 I MISSED IT, BUT YOU ARE GOING TO HAVE AN ADVISORY
2 COUNCIL, BUT WHO WILL DETERMINE AND REVIEW THESE
3 APPLICATIONS BEFORE THEY COME BACK TO THE ICOC FOR
4 APPROVAL?

5 DR. GRIESHAMMER: THIS WILL BE -- ACTUALLY
6 IT'S A GOOD QUESTION. IT WILL BE PARTLY OUR NORMAL
7 PROCESS OF THE GRANTS WORKING GROUP REVIEW --

8 MR. TORRES: OKAY.

9 DR. GRIESHAMMER: -- FOR THE OPERATIONS
10 AND THE MAJOR EQUIPMENT ACTUALLY; BUT SINCE THERE IS
11 A FACILITIES RENOVATION COMPONENT TO ONE OF THESE
12 RFA'S, THERE WILL ACTUALLY ALSO BE A FACILITIES
13 WORKING GROUP REVIEWING THAT AND COMING UP WITH
14 RECOMMENDATIONS FOR THE BOARD.

15 MR. TORRES: THOSE GROUPS HAVE ALREADY
16 BEEN APPOINTED?

17 DR. GRIESHAMMER: NO. WE'RE WORKING WITH
18 REVIEW TO PUT THOSE TOGETHER.

19 MS. BONNEVILLE: THE FACILITIES WORKING
20 GROUP IS IN THE PROCESS OF BEING PUT TOGETHER, ART.
21 SO RECOMMENDATIONS ARE WELCOME.

22 MR. TORRES: THANK YOU SO MUCH.

23 CHAIRMAN GOLDSTEIN: OKAY. SHLOMO.

24 DR. MELMED: THIS IS TERRIFIC, WELL
25 THOUGHT THROUGH. THANK YOU. IT WAS A VERY

BETH C. DRAIN, CA CSR NO. 7152

1 COMPELLING PRESENTATION.

2 DR. GRIESHAMMER: THANK YOU.

3 DR. MELMED: CAN YOU JUST TELL US HOW YOU
4 DETERMINED THE NUMBERS OF ESTABLISHED STEM CELL LABS
5 VERSUS INSTITUTIONS THAT DON'T HAVE STEM CELL LABS?
6 SO ARE WE DOING TOO MUCH OF ONE AND NOT THE OTHER?
7 IS THERE A BALANCE? ARE THERE ENOUGH INSTITUTIONS
8 WHICH DON'T HAVE STEM CELL LABS WHICH COULD HAVE
9 LABS? MAYBE WE ARE OVERFUNDING OR UNDERFUNDING THAT
10 NEED. AND PERHAPS WE SHOULD HAVE TWO SEPARATE
11 STRATEGIC NEEDS FILLED. MAYBE YOU CAN HELP US
12 UNDERSTAND HOW YOU CAME TO THAT BALANCE.

13 DR. GRIESHAMMER: YEAH. SO WE HAVE A VERY
14 ROUGH SORT OF PREDICTION OF HOW MANY AWARDS WE MIGHT
15 BE MAKING IN THIS PROGRAM, BUT IT'S ALWAYS HARD TO
16 PREDICT EXACTLY HOW MANY APPLICATIONS, OF COURSE,
17 WE'RE GOING TO GET AND HOW MERITORIOUS THEY WILL BE.
18 BUT WE DO -- WELL, WE DO KNOW THAT CALIFORNIA, FROM
19 OUR SHARED LABS EXPERIENCE FROM PROPOSITION 71, WE
20 KNOW WE HAVE A LOT OF UNIVERSITIES THAT HAVE
21 CUTTING-EDGE EXPERTISE IN STEM CELL-BASED MODELING,
22 AND WE ACTUALLY EXPECT TO GET A VERY GOOD NUMBER OF
23 APPLICATIONS FROM THEM.

24 IN TERMS OF THE ESTABLISHING SHARED
25 RESOURCE LABS, THAT'S REALLY TARGETED TO BRINGING

BETH C. DRAIN, CA CSR NO. 7152

1 RESEARCH OPPORTUNITIES TO, FOR INSTANCE,
2 UNIVERSITIES AND RESEARCH INSTITUTIONS IN THE INLAND
3 EMPIRE AND OTHER AREAS THAT ARE UNDERREPRESENTED AND
4 THAT CAN PROVE IN THEIR APPLICATION THAT BY WHAT
5 THEY'RE OFFERING, THAT LABS THAT CURRENTLY ARE
6 REALLY LIMITED BY NOT HAVING INFRASTRUCTURE NEAR
7 THEM CAN EXCEL IN USING THESE TECHNOLOGIES.

8 DR. MELMED: MY QUESTION WAS MORE
9 QUANTITATIVE. FOR EXAMPLE, DO WE HAVE ENOUGH OF
10 THOSE AND IS THAT A GROUP? OR DO WE HAVE TOO FEW?
11 HOW DID YOU DETERMINE THE RATIO? MAYBE WE'RE
12 UNDERFUNDING.

13 DR. CANET-AVILES: LARRY, AM I ALLOWED TO
14 PROVIDE AN ANSWER HERE?

15 CHAIRMAN GOLDSTEIN: YES. THAT WOULD BE
16 HELPFUL, ROSA.

17 DR. CANET-AVILES: SO, DR. MELMED, WE ARE
18 ACTUALLY -- SO AS YOU RECALL, WE ALSO HAD A WORKSHOP
19 IN PREPARATION FOR THE PLANNING OF THIS. WE LOOKED
20 AT THE LANDSCAPE, THE LANDSCAPE STUDY IN THE STATE
21 OF CALIFORNIA, FOR THOSE TYPE OF INSTITUTIONS BASED
22 ON POTENTIAL APPLICANTS IN THE INLAND EMPIRE AND
23 OTHER AREAS THAT MIGHT NOT HAVE HAD ACCESS. WE
24 CONTACTED MANY OF THEM. WE ASKED THEM IF THEY COULD
25 TELL US OF OTHERS THAT MIGHT BE IN SIMILAR

BETH C. DRAIN, CA CSR NO. 7152

1 SITUATIONS.

2 SO WE DID AN ESTIMATE THAT WE THINK IS
3 PRETTY -- IS PROBABLY PLUS/MINUS 10 PERCENT THAT WE
4 MIGHT BE MISSING. SO WITH THAT, WE MADE THE
5 ESTIMATES FOR THE NUMBERS THAT WE ARE PUTTING HERE.
6 AND GIVEN THE CONSTRAINTS THAT WE HAVE IN TERMS OF
7 BUILDING, WHICH WAS UP TO THE \$26 MILLION GIVEN PROP
8 14, WE WANTED TO MAXIMIZE THE EFFECT OF THESE TWO
9 RFA'S. SO THERE WILL BE TWO RFA'S THAT WILL BE
10 SEPARATE, BUT THEY WILL BE WITHIN THIS CONCEPT. I
11 HOPE THAT ANSWERS YOUR QUESTION.

12 DR. MELMED: SO YOU CAN'T TELL US HOW MANY
13 WE EXPECT FOR THE FIRST ONE. ARE WE GOING TO BE
14 FUNDING FIVE NEW LABS, TEN NEW LABS, 20?

15 DR. CANET-AVILES: WE CAN GIVE YOU THE
16 ESTIMATES. IT'S, OF COURSE, ESTIMATE. UTA, YOU CAN
17 GIVE ABOUT THE ESTIMATE OF WHAT WE ARE THINKING.
18 AND THAT'S HOW WE CALCULATED THE BUDGET AS WELL.

19 DR. GRIESHAMMER: OUR CURRENT -- THE
20 ESTIMATES WE HAVE USED ARE AROUND TEN OF THE
21 ENHANCING EXPANSION ONES AND FOUR OF THE
22 ESTABLISHING ONES. SORRY THAT I ACTUALLY DIDN'T
23 MENTION THAT.

24 DR. MELMED: THANK YOU.

25 CHAIRMAN GOLDSTEIN: PAT, THANK YOU FOR

BETH C. DRAIN, CA CSR NO. 7152

1 YOUR PATIENCE. YOU'RE UP.

2 DR. LEVITT: THANK YOU. SO GREAT
3 PRESENTATION, AND I THINK THE CONCEPT IS GREAT.
4 ONCE IT'S IN OPERATION, I THINK IT WILL MAKE A HUGE
5 DIFFERENCE IN TERMS OF THE BROAD UTILIZATION OF THIS
6 TECHNOLOGY.

7 SO SEVERAL COMMENTS AND QUESTIONS. PHASE
8 1, WHICH IS A CONSTRUCTION PHASE, IS 18 MONTHS. AND
9 IN MY OWN PANDEMIC TIME EXPERIENCE, 18 MONTHS FOR
10 EVEN MINOR RENOVATIONS IS EXTREMELY DIFFICULT. SO I
11 THINK YOU NEED TO LOOK PRETTY HARD AT PHASE 1.
12 THERE ARE SUPPLY CHAIN ISSUES. FOR EXAMPLE, IF YOU
13 JUST WANT TO GET IN WORKSTATIONS THAT WOULD FURNISH
14 LABS WHERE THEY'RE DOING THE ANALYTICAL WORK, IT CAN
15 TAKE 9 TO 12 MONTHS TO GET THOSE WORKSTATIONS IN
16 FROM THE FURNITURE SUPPLIERS. SO I THINK 18 MONTHS
17 IS UNLIKELY FOR THOSE WHO ARE STARTING FROM SCRATCH,
18 IN PARTICULAR, FOR THOSE INSTITUTIONS WHERE THEY
19 NEED TO HAVE AN ARCHITECT COME IN AND DETERMINE WHAT
20 WOULD NEED TO BE MODIFIED IN TERMS OF CURRENT SPACE.
21 SO THAT'S ONE COMPONENT.

22 THE OTHER, IN PHASE C FOR BOTH THE
23 ENHANCED GROUP, WHICH I THINK WILL WORK FINE IN
24 TERMS OF THE EXPECTATION OF BEING ABLE TO SUPPORT --
25 THE INSTITUTION BEING ABLE TO GET INTO THE MODE OF

BETH C. DRAIN, CA CSR NO. 7152

1 BEING ABLE TO SUPPORT THE CORE. I REALLY WORRY
2 ABOUT THE NEW SITES HAVING ENOUGH INVESTIGATORS WHO
3 ARE FUNDED TO BE ABLE TO PAY THE FEES BECAUSE THERE
4 IS MENTION IN THE WRITTEN DOCUMENT OF A BUSINESS
5 PLAN FOR CHARGEBACKS THAT WOULD HAVE TO BE
6 DEVELOPED. CHARGEBACKS WORK WHEN YOU HAVE FUNDED
7 INVESTIGATORS. IF YOU DON'T HAVE FUNDED
8 INVESTIGATORS, YOU DON'T HAVE CHARGEBACKS BECAUSE
9 THERE'S NO SOURCE FOR THE DOLLARS.

10 SO I THINK THAT'S SOMETHING YOU NEED TO
11 LOOK FOR THE NEWLY ESTABLISHED SITES BECAUSE THEY'RE
12 GOING TO HAVE FEWER INVESTIGATORS, AND IT'S GOING TO
13 TAKE THEM TIME TO GET EXTRAMURAL FUNDING. CERTAINLY
14 THE CORE IS GONG TO ENHANCE THEIR OPPORTUNITIES TO
15 GET EXTRAMURAL FUNDING, BUT I'M JUST WORRIED THAT
16 THEY'RE NOT GOING TO BE ABLE TO MEET THE DEMANDS OF
17 THE BUSINESS PLAN.

18 AND THEN THE THIRD THING IS THERE'S A LOT
19 OF SHARING GOING ON, SHARING OF TECHNOLOGIES,
20 SHARING OF DATA, EVEN SHARING OF EDUCATIONAL
21 MATERIALS. SO IF ONE INSTITUTION IS DEVELOPING A
22 COURSE, WE WOULD WANT THEM TO SHARE THE SYLLABUS,
23 FOR EXAMPLE, SO THAT OTHER INSTITUTIONS DON'T HAVE
24 TO REINVENT THE WHEEL. THEY COULD USE THAT. HAS
25 THERE BEEN A DISCUSSION, OR MAYBE THERE ALREADY

BETH C. DRAIN, CA CSR NO. 7152

1 EXISTS, A UNIVERSAL PLATFORM WHERE THESE ENHANCED
2 CORES COULD ACTUALLY USE ONE PLATFORM THAT WOULD
3 HAVE A DIVERSITY OF FIELDS IN WHICH THEY COULD INPUT
4 THEIR INFORMATION, THEIR DATA, THEIR TECHNOLOGIES,
5 THEIR EDUCATIONAL INITIATIVES, ET CETERA? AND THAT
6 MAY COST SOME DOLLARS IN ORDER FOR CIRM TO DEVELOP
7 THAT, BUT I THINK THAT WOULD BE -- IN MY EXPERIENCE
8 AND OTHERS ON THE ZOOM CERTAINLY HAVE THAT. IF
9 YOU'RE IN A NETWORK WHERE YOU'RE REQUIRED TO SHARE,
10 HAVING A UNIVERSAL PLATFORM IS A GAME CHANGER
11 COMPARED TO THE SORT OF AD HOC WAYS IN WHICH NIH,
12 FOR EXAMPLE, OFTEN DOES SHARING.

13 SO THOSE ARE MY COMMENTS. I THINK THE
14 PLAN LOOKS GREAT, AND I THINK SOME TWEAKING MIGHT BE
15 HELPFUL.

16 DR. CANET-AVILES: THANK YOU, PAT. LARRY,
17 AM I ALLOWED TO ANSWER THE LAST QUESTION?

18 CHAIRMAN GOLDSTEIN: YOU BET.

19 DR. CANET-AVILES: THANK YOU. SO WITH
20 REGARDS TO THE KNOWLEDGE NETWORKS, AS YOU KNOW, WE
21 ARE WORKING TOWARDS THE BUILDING OF THE
22 IMPLEMENTATION OF THE ADVANCE WORLD CLASS SCIENCE
23 TEAM, AND ONE OF THE GOALS IS BUILDING KNOWLEDGE
24 NETWORKS. WE ARE DEVELOPING A CONCEPT FOR A DATA
25 AND SHARING ECOSYSTEM. AND THE FIRST IMPLEMENTATION

BETH C. DRAIN, CA CSR NO. 7152

1 IS GOING TO BE FOCUSED ON DATA COLLABORATIVE AND
2 MANAGEMENT CENTER FOCUSED ON RESEARCH DATA, BUT WE
3 WILL ALSO, GIVEN THAT THESE WILL IMPLEMENT THOSE
4 KNOWLEDGE PLATFORM, THAT COULD HAVE SOME SPACES THAT
5 COULD BE DEDICATED FOR EDUCATION AND TRAINING
6 PROTOCOLS AND SHARING PROTOCOLS, ET CETERA. SO
7 THAT'S GOING TO BE PART OF IT. IT MIGHT GO IN
8 STAGES, BUT IT'S A CONCEPT THAT WILL BE COMING TO
9 THE BOARD. BUT THAT'S A VERY APPROPRIATE QUESTION,
10 AND WE APPRECIATE YOU BRINGING IT UP. THANK YOU.

11 DR. LEVITT: IN THE PLAN, IF YOU ADDED
12 THAT, I THINK THAT'S A GREAT IDEA. I THINK THAT'S
13 EXACTLY WHAT NEEDS TO BE DEVELOPED, AND YOU'RE
14 ALREADY IN THE PROCESS OF DOING IT, YOU'RE GOING TO
15 BE IN THE PROCESS OF DOING THAT, ADDING THAT
16 LANGUAGE TO THE PLAN, THAT THERE'S GOING TO BE A
17 UNIVERSAL KNOWLEDGE PLATFORM REQUIRED TO BE USED,
18 WOULD BE HELPFUL FOR THE FULL BOARD WHEN THEY GO
19 THROUGH THIS MATERIAL.

20 DR. GRIESHAMMER: IF I CAN JUST ADD TO
21 THAT. AS PART OF THIS PARTICULAR AWARD PROGRAM, THE
22 SHARED LABS THEMSELVES, THAT WILL BE WHAT WILL BE
23 DISCUSSED AS A NETWORK, COMING TOGETHER AND COMING
24 UP WITH WAYS OF COORDINATING WHAT'S BEING OFFERED
25 AND COMING UP WITH THE BEST WAYS OF SHARING ALL

1 THAT.

2 DR. LEVITT: THAT'S GREAT.

3 DR. GRIESHAMMER: THEN WE'LL WORK
4 TOGETHER.

5 CHAIRMAN GOLDSTEIN: SO I HAVE A COUPLE OF
6 QUESTIONS. ONE IS JUST FOLLOWING UP ON THAT. DO
7 YOU THINK THAT THERE WILL BE A PLATFORM DEVELOPED IN
8 TIME FOR THE DEPOSITION OF EARLY STAGE DATA AND
9 PROTOCOLS FOR HOW TO DEAL WITH THESE SOMETIMES
10 CRANKY CELLS?

11 DR. CANET-AVILES: THAT'S A VERY GOOD
12 QUESTION. WITH THE 18 MONTHS, WELL, WHICH MIGHT BE
13 EVEN LONGER IF WE ARE IMPLEMENTING, THE ONLY THING
14 IS THAT THE OTHER, THE EE ONE, THE ENHANCING ONES,
15 ARE GOING TO BE SHORTER. SO WE DON'T KNOW. WE
16 DON'T KNOW IF IT WILL BE READY, BUT WE DEFINITELY
17 ARE PUTTING THE PRESSURE BECAUSE, AS YOU KNOW, WE
18 ALSO HAVE DATA COMING FROM OUR RESEARCH PROGRAMS
19 THAT SHOULD ALREADY BE IN A PLATFORM FOR
20 COLLABORATION. SO THE PRESSURE IS NOT ONLY IN THE
21 CRANKY CELLS AND THE MODELS, IT'S ALREADY IN OUR
22 RESEARCH DATA. AND ESPECIALLY AS WE THINK MORE
23 FOCUSED TOWARDS A NEUROSCIENCE STRATEGY THAT WE WILL
24 BE DEVELOPING, THIS VERY FOCUSED TYPE OF, HOPEFULLY,
25 CONCEPT MODELING, LIKE COMMON MECHANISMS ACROSS

BETH C. DRAIN, CA CSR NO. 7152

1 DISEASES AND TRYING TO FIND TARGETS AND BIOMARKERS,
2 THAT IS A NEED THAT WE HAVE, AND THIS IS THE FOCUS.
3 I THINK WE SHOULD REALLY PUSH ON THIS, THE SCMC
4 CONCEPT FIRST AND GET IT IMPLEMENTED AS SOON AS
5 POSSIBLE.

6 CHAIRMAN GOLDSTEIN: GOOD. THANK YOU.
7 YES. THERE'S GOING TO HAVE TO BE SOMETHING SOON.
8 THERE ARE GOING TO BE A LOT OF NEURAL ORGANOID
9 PROPOSALS WOULD BE MY PREDICTION.

10 SO MY SECOND QUESTION IS FOLLOWING UP
11 PAT'S QUESTION ABOUT THE CONCERN ABOUT WHETHER 18
12 MONTHS IS REALLY ENOUGH FOR SOME ORGANIZATIONS TO
13 GET RENOVATION WORK TOGETHER. IS THAT NUMBER OF 18
14 MONTHS BASED ON A SURVEY OF POTENTIAL INSTITUTIONS?
15 WHERE DOES IT COME FROM? DOES IT INCLUDE A SURVEY
16 OF THAT TYPE BECAUSE I THINK YOU DO NEED TO BE A
17 LITTLE CAREFUL ABOUT THAT UNFORTUNATELY?

18 DR. GRIESHAMMER: I WOULD SAY THAT, LIKE
19 YOU SAY, IT LOOKS LIKE WE DO NEED TO BE FLEXIBLE.
20 GENERALLY CIRM FUNDING DOES ALLOW, AS YOU KNOW, FOR
21 NO-COST EXTENSIONS. AND SO WHAT I'M HEARING AT BOTH
22 ENDS, ESPECIALLY FOR THE ESTABLISHING SRL'S, WE NEED
23 TO BE READY TO MAYBE ALLOW NO-COST EXTENSIONS THAT
24 MIGHT BE LONGER THAN WE TYPICALLY WOULD ENTERTAIN
25 BECAUSE ULTIMATELY WE REALLY WANT TO MAKE SURE THAT

BETH C. DRAIN, CA CSR NO. 7152

1 THIS WORKS AND NOT CUT SOMEBODY OFF BECAUSE THEY ARE
2 SIX MONTHS OR A YEAR LATE PERHAPS. AND WORK WITH
3 THE AWARDEES TO MAKE THEIR RESOURCE LABS FUNCTIONAL.

4 CHAIRMAN GOLDSTEIN: ON THE OTHER HAND,
5 YOU PROBABLY DON'T WANT TO FUND AN INSTITUTION WHERE
6 IT TAKES FIVE YEARS TO GET ANYTHING DONE. THAT
7 PERHAPS OUGHT TO BE PART OF THE EVALUATION OF THE
8 PROPOSALS IN SOME WAY. I'M A LITTLE CURIOUS ABOUT
9 WHERE 18 MONTHS CAME FROM. IS THAT BASED ON
10 EXPERIENCE FROM THE DEVELOPMENT OF THE SHARED LABS
11 WHENEVER IT WAS, TEN YEARS AGO?

12 DR. CANET-AVILES: THAT WAS A LITTLE BIT
13 HOW WE LOOKED AT IT. WE LOOKED AT HOW LONG HAD IT
14 TAKEN THEM TO BE FUNCTIONAL, AND WE EXTRAPOLATED
15 FROM THAT, AS I RECALL.

16 CHAIRMAN GOLDSTEIN: I GUESS MY FINAL
17 QUESTION IS ARE YOU GOING TO ASK FOR SOME SORT OF
18 DETAILED ACCESS PLAN? SOME INSTITUTIONS HAVE THESE
19 FACILITIES BEHIND SECURITY BECAUSE OF THE OBVIOUS
20 PROBLEMS POTENTIALLY WITH INTERLOPERS. IS THAT
21 SOMETHING YOU ARE GOING TO ASK PEOPLE TO LAY OUT?

22 DR. GRIESHAMMER: ABSOLUTELY. THAT WILL
23 BE PART -- ONE OF THE PIECES IN THE APPLICATION,
24 THAT WE ASK THEM TO TELL US HOW ARE THEY GOING TO
25 ENSURE THAT PEOPLE KNOW ABOUT THESE OFFERINGS AND

BETH C. DRAIN, CA CSR NO. 7152

1 WHAT WILL BE THEIR PLANS TO PROVIDING THIS ACCESS.
2 SO, YES, THE RFA'S WILL LAY OUT HOW IN THE
3 APPLICATION THE APPLICANTS WILL DESCRIBE THE ACCESS
4 PLANS. ABSOLUTELY.

5 CHAIRMAN GOLDSTEIN: GREAT. ANY OTHER
6 QUESTIONS? OKAY. NOW, REMIND ME. DOES PUBLIC
7 COMMENT COME BEFORE OR AFTER WE VOTE?

8 MS. BONNEVILLE: BEFORE THE VOTE.

9 CHAIRMAN GOLDSTEIN: BEFORE THE VOTE.
10 OKAY.

11 MS. BONNEVILLE: AFTER BOARD MEMBER
12 COMMENT AND BEFORE THE VOTE.

13 CHAIRMAN GOLDSTEIN: OKAY. SO IF THERE'S
14 NO FURTHER BOARD QUESTIONS OR COMMENTS, I DON'T SEE
15 ANY HANDS.

16 DR. YAMAMOTO: ACTUALLY, LARRY, COULD I
17 ASK A QUESTION?

18 CHAIRMAN GOLDSTEIN: YEAH. GO AHEAD,
19 KEITH.

20 DR. YAMAMOTO: COULD YOU JUST COMMENT A
21 BIT ON -- SO YOU HAVE THIS OVERSIGHT COMMITTEE OR
22 SOMETHING LIKE THAT THAT WILL ESTABLISH QUALITY
23 CONTROL AND VALIDATION METRICS AND STANDARDS. HOW
24 WILL THAT GROUP ACTUALLY OPERATE AND INTERACT WITH
25 THESE CORE LABORATORIES? THE IDEA IS THAT CIRM WILL

BETH C. DRAIN, CA CSR NO. 7152

1 THEN BE CHARGED WITH STANDING UP THESE QC AND
2 VALIDATION METRICS, AND THEN THEY WILL THEN IMPOSE
3 THOSE ON THE SHARED LABS AS THEY ESTABLISH THESE
4 LINES AND SO FORTH. IS THAT THE IDEA? AND HOW WILL
5 THAT ACTUALLY BE DONE? HOW WILL YOU POPULATE THAT
6 OVERSIGHT COMMITTEE, AND HOW WILL THEY THEN GO ABOUT
7 DEFINING WHAT THE QC AND VALIDATION METRICS LOOK
8 LIKE?

9 DR. GRIESHAMMER: DR. LEVITT, DO YOU WANT
10 ME TO ANSWER THAT FIRST, OR DID YOU WANT TO COMMENT
11 RIGHT AWAY?

12 DR. LEVITT: NO. YOU SHOULD ANSWER THAT
13 FIRST.

14 DR. GRIESHAMMER: OKAY. SO THE IDEA IS
15 THAT CIRM WILL CALL A STEERING COMMITTEE WHICH WILL
16 ACTUALLY INCLUDE THE PROGRAM DIRECTORS AND OTHERS
17 THEY MIGHT APPOINT FROM ALL THE FUNDED SHARED
18 RESOURCE LABS AND, IN ADDITION, WILL BRING IN
19 EXTERNAL STAKEHOLDERS FROM ACROSS THE COUNTRY REALLY
20 WHO ARE ALREADY INVOLVED IN ALSO THINKING ABOUT
21 STANDARDIZATION, REPRODUCIBILITY, SUCH AS THE ISSCR
22 AND THE NIH AND OTHER FUNDERS AND INSTITUTIONS THAT
23 ARE DRIVING TOWARD STANDARDIZED MODELING. AND THEN
24 THE IDEA IS TO WORK TOGETHER AS A GROUP TO COME UP
25 WITH WHAT'S FEASIBLE.

BETH C. DRAIN, CA CSR NO. 7152

1 I ANTICIPATE, FOR INSTANCE, THAT THERE
2 SHOULD BE POSSIBILITY -- WHAT WE'VE HEARD FROM OUR
3 WORKSHOPS IS THAT THERE SHOULD BE WAYS THAT PERHAPS
4 THE GROUP CAN AGREE ON CERTAIN STANDARDS OF QUALITY
5 CONTROL FOR THE INPUT STEM CELL LINES AND FOR THE
6 QUALITY CONTROL OF THE ACTUAL MODELS WHILE WE'VE
7 HEARD THAT THE DIFFERENTIATION PROTOCOLS ARE STILL
8 SO MUCH IN DEVELOPMENT, THAT THAT MIGHT NOT BE
9 STANDARDIZABLE. I'M ONLY SAYING THIS TO SAY WE
10 REALLY WANT TO WORK WITH THE GROUPS TO COME UP WITH
11 SOME STANDARDS THAT ARE CONSIDERED FEASIBLE. BUT
12 THAT WILL BE -- DOES THAT ANSWER YOUR QUESTION?

13 DR. YAMAMOTO: SO IN WORKING WITH THESE
14 OTHER GROUPS, DO YOU ANTICIPATE THAT YOU'LL BEGIN TO
15 GRAVITATE TOWARD KIND OF A COMMON SET OF STANDARDS
16 THAT WOULD BE NATIONALLY AGREED UPON?

17 DR. GRIESHAMMER: THAT WOULD BE WONDERFUL,
18 AS A MATTER OF FACT.

19 DR. CANET-AVILES: THAT WOULD BE THE GOAL.
20 AND WE WILL WORK TOWARDS THAT BECAUSE IT'S ALSO KIND
21 OF THE PLAN THAT WE HAVE IN TERMS OF CONSORTIA. SO
22 WE ARE ALIGNING WITH THE NIH AND OTHER
23 ORGANIZATIONS, AND ISSCR, FOR EXAMPLE, IT COULD BE
24 ONE OF THE GOALS. AND WE COULD FORM SUBGROUPS OF
25 THE STEERING COMMITTEE, SO WORKING GROUPS THAT COULD

BETH C. DRAIN, CA CSR NO. 7152

1 BE FOCUSED ON THAT, BUT THAT'S -- THE SPECIFICS OF
2 THAT WE NEED TO THINK ABOUT HOW WE WILL DO IT SO
3 THAT IT'S EFFECTIVE BECAUSE TOO MANY PEOPLE IS ALSO
4 NOT GOING TO BE PRODUCTIVE OR EFFECTIVE. SO WE'LL
5 HAVE TO THINK HOW WE WILL DO THAT SPECIFICALLY.

6 CHAIRMAN GOLDSTEIN: PAT.

7 DR. LEVITT: ONE OTHER THING I FORGOT TO
8 MENTION. IN YOUR CALCULATION FOR DIFFERENCES
9 BETWEEN THE NEWLY ESTABLISHED AND THE ENHANCED
10 SITES, IN THE CASE WHERE THERE'S GOING TO BE
11 EDUCATIONAL COMPONENTS, 5.4 MILLION VERSUS 4.3
12 MILLION, THAT'S A \$1.1 MILLION DIFFERENCE WHERE THE
13 NEW SITES ARE GOING TO BE DOING CONSTRUCTION IN
14 ORDER TO ESTABLISH A COMPLETELY NEW SITE. SO I
15 DON'T KNOW WHERE THAT NUMBER CAME FROM, WHETHER
16 THERE'S FLEXIBILITY THERE, BECAUSE I THINK COSTS FOR
17 A CONSTRUCTION AND RENOVATIONS ALSO IS VERY
18 DIFFERENT THAN WHAT IT WAS EVEN TWO YEARS AGO. IT'S
19 INCREDIBLY -- IT'S MUCH, MUCH HIGHER NOW, IN SOME
20 CASES 20, 30 PERCENT HIGHER THAN IT WAS EVEN JUST
21 WHEN THE PANDEMIC STARTED. SO I WORRY ABOUT THAT
22 \$1.1 MILLION DIFFERENTIAL BECAUSE I CAN SEE HOW THE
23 DOLLARS FOR THE ENHANCED CORES ARE GOING TO WORK OUT
24 FINE. I WORRY THAT FOR THE NEW SITES IT'S GOING TO
25 BE UNDERFUNDED.

BETH C. DRAIN, CA CSR NO. 7152

1 SO MAYBE CONSIDER THAT OR MAYBE GET SOME
2 ADVISOR WHO'S DOING LAB CONSTRUCTION TO GIVE YOU A
3 BETTER SENSE OF WHAT COSTS MIGHT BE ON AVERAGE. OF
4 COURSE, IT'S GOING TO VARY FROM INSTITUTION TO
5 INSTITUTION, BUT I JUST WANT TO RAISE THAT AS AN
6 ISSUE TO THINK ABOUT OR AT LEAST BUILD IN SOME
7 FLEXIBILITY.

8 DR. GRIESHAMMER: YES. I CAN SAY THAT THE
9 PER AWARD BUDGETS THAT WE ARE CONSIDERING, THE SPACE
10 RENOVATION AND THE EQUIPMENT LINE ITEMS ARE BASED ON
11 ACTUALLY WHAT WE DID FUND IN PROPOSITION 71 SHARED
12 LABS. AND IT'S 30 TO 45 PERCENT MORE THAN BACK
13 THEN. SO WE HAVE, BASED ON THAT EXPERIENCE, WE HAVE
14 INCREASED THE ALLOWED COSTS FOR THAT. AND THEN THE
15 DIFFERENCE FOR THE TECHNIQUES COURSE, IT VARIES
16 BETWEEN THE TWO SRL TYPES BECAUSE THE ESTABLISHING
17 ONES WILL HAVE A SHORTER PERIOD OF ACTUALLY OFFERING
18 COURSES IF THEY CHOOSE TO THAN THE MORE ADVANCED
19 ONES.

20 DR. LEVITT: THE FUNDING OF THE CORES IN
21 PROP 71 WERE AT INSTITUTIONS THAT ALREADY HAD REALLY
22 A VERY RICH ENVIRONMENT FOR RESEARCH INFRASTRUCTURE.
23 AND FOR THIS INITIATIVE, NEW SITES, WHAT YOU ARE
24 ESTIMATING AS FOUR NEW SITES, THEY MAY NOT HAVE THE
25 SAME STARTING POINT. AND SO THAT'S WHAT I'M TALKING

BETH C. DRAIN, CA CSR NO. 7152

1 ABOUT. THERE MAY BE SOME NEED TO BUILD IN SOME
2 FLEXIBILITY BECAUSE I THINK THAT DIFFERENTIAL MAY
3 NOT BE ENOUGH TO SUPPORT THE RENOVATIONS THAT WOULD
4 BE NEEDED FOR AN INSTITUTION THAT REALLY OTHERWISE
5 IS RIGHT ON TARGET IN TERMS OF WHAT THEY WANT TO
6 ACCOMPLISH.

7 DR. GRIESHAMMER: OKAY. THANK YOU.

8 CHAIRMAN GOLDSTEIN: I'LL JUST COMMENT A
9 LITTLE BIT IN RESPONSE TO PAT'S QUESTION. IT MAY BE
10 THAT THIS WILL BE AN EVALUATION CRITERION
11 ULTIMATELY, WHICH IS THE SPEED AND COST CONTROL IN
12 DEVELOPING THESE FACILITIES AT SOME INSTITUTIONS
13 RELATIVE TO OTHERS.

14 DR. LEVITT: THAT'S RIGHT. I THINK THAT'S
15 PROBABLY GOING TO BE PART OF THE EVALUATION. BUT
16 SOME OF THIS IS OUT OF THE CONTROL OF THE
17 INSTITUTIONS. TIMELINES MAY NOT, ARE NOT REALLY --
18 FOR CONSTRUCTION COSTS ARE NOT REALLY CONTROLLED BY
19 THE INSTITUTION. AND DEPENDING UPON WHERE THEY'RE
20 LOCATED IN CALIFORNIA, THE COSTS VARY QUITE A BIT AS
21 WELL.

22 CHAIRMAN GOLDSTEIN: LET'S SEE. OTHER
23 QUESTIONS FROM THE BOARD? GOING ONCE, TWICE,
24 THRICE. OKAY. PUBLIC COMMENT. WHO'S MANNING THE
25 PHONES?

BETH C. DRAIN, CA CSR NO. 7152

1 MS. BONNEVILLE: I HAVE NO HANDS RAISED.

2 CHAIRMAN GOLDSTEIN: NO HANDS RAISED.

3 OKAY. THAT'S FINE THEN. I THINK WE'RE UP TO A

4 VOTE. MARIANNE, WILL YOU PLEASE CALL THE ROLL.

5 MS. DEQUINA-VILLABLANCA: DEBORAH DEAS.

6 DR. DEAS: YES.

7 MS. DEQUINA-VILLABLANCA: MARK

8 FISCHER-COLBRIE.

9 DR. FISCHER-COLBRIE: YES.

10 MS. DEQUINA-VILLABLANCA: ELENA FLOWERS.

11 DR. FLOWERS: YES.

12 MS. DEQUINA-VILLABLANCA: JUDY GASSON.

13 DR. GASSON: YES.

14 MS. DEQUINA-VILLABLANCA: LARRY GOLDSTEIN.

15 CHAIRMAN GOLDSTEIN: YES.

16 MS. DEQUINA-VILLABLANCA: PAT LEVITT.

17 DR. LEVITT: YES.

18 MS. DEQUINA-VILLABLANCA: SHLOMO MELMED.

19 DR. MELMED: YES.

20 MS. DEQUINA-VILLABLANCA: JONATHAN THOMAS.

21 CHAIRMAN THOMAS: YES.

22 MS. DEQUINA-VILLABLANCA: ART TORRES.

23 MR. TORRES: AYE.

24 MS. DEQUINA-VILLABLANCA: KAROL WATSON.

25 DR. WATSON: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. DEQUINA-VILLABLANCA: MOTION CARRIES.

2 CHAIRMAN GOLDSTEIN: OKAY. GOOD. THANK
3 YOU VERY MUCH.

4 MS. DEQUINA-VILLABLANCA: SORRY. DID I
5 SAY KEITH YAMAMOTO?

6 DR. YAMAMOTO: YOU CAN PUT A YES.

7 MS. DEQUINA-VILLABLANCA: OKAY. THANK
8 YOU. THE MOTION CARRIES.

9 CHAIRMAN THOMAS: ANY NEW ITEMS FROM THIS
10 GROUP? LET'S SEE. DO WE NEED TO DO ANY OTHER
11 PUBLIC COMMENT? NO. GOT NOTHING.

12 I THINK WITH THAT WE ARE GOOD UNTIL OUR
13 NEXT MEETING. THANK YOU ALL FOR YOUR TIME AND GOOD
14 THOUGHTS.

15 MR. TORRES: THANK YOU, UTA AND ROSA.

16 (THE MEETING WAS THEN CONCLUDED AT 10:43 A.M.)

17

18

19

20

21

22

23

24

25

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE VIRTUAL PROCEEDINGS BEFORE THE SCIENCE SUBCOMMITTEE OF THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD ON OCTOBER 14, 2022, WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CA CSR 7152
133 HENNA COURT
SANDPOINT, IDAHO
(208) 920-3543