

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
AND THE APPLICATION REVIEW SUBCOMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR TELEPHONIC MEETING

LOCATION: AS INDICATED ON THE AGENDA

DATE: JULY 21, 2016
11 A.M.

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO.: 98786

BARRISTERS' REPORTING SERVICE

I N D E X

ITEM DESCRIPTION	PAGE NO.
OPEN SESSION	
1. CALL TO ORDER	3
2. ROLL CALL	3
3. CONSIDERATION OF APPLICATIONS SUBMITTED IN RESPONSE TO CLIN 1: PARTNERING OPPORTUNITY FOR LATE STAGE PRECLINICAL PROJECTS AND CLIN 2: PARTNERING OPPORTUNITY FOR CLINICAL TRIAL STAGE PROJECTS.	5
4. CONSIDERATION OF APPLICATIONS SUBMITTED IN RESPONSE TO DISCOVERY STAGE RESEARCH PROJECTS QUEST (DISC 2) AND CHALLENGE (DISC 3) APPLICATIONS.	44
CLOSED SESSION	NONE
5. DISCUSSION OF CONFIDENTIAL INTELLECTUAL PROPERTY OR WORK PRODUCT, PREPUBLICATION DATA, FINANCIAL INFORMATION, CONFIDENTIAL SCIENTIFIC RESEARCH OR DATA, AND OTHER PROPRIETARY INFORMATION RELATING TO APPLICATIONS CLIN 1: PARTNERING OPPORTUNITY FOR LATE STAGE PRECLINICAL PROJECTS, CLIN 2: PARTNERING OPPORTUNITY FOR CLINICAL TRIAL STAGE PROJECTS, DISCOVERY STAGE RESEARCH PROJECTS QUEST (DISC 2) AND CHALLENGE (DISC 3) APPLICATIONS (HEALTH & SAFETY CODE 125290.30(F) (3) (B) AND (C)).	
6. PUBLIC COMMENT	79
7. ADJOURNMENT	84

BARRISTERS' REPORTING SERVICE

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

JULY 21, 2016; 11 A.M.

CHAIRMAN THOMAS: THIS IS JON THOMAS HERE
DOWN IN SAN DIEGO. I'D LIKE TO WELCOME EVERYBODY TO
THE JULY MEETING OF THE ICOC AND APPLICATION REVIEW
SUBCOMMITTEE. WE HAVE FOLKS ON THE LINE FROM A
NUMBER OF DIFFERENT SPOTS; AND AS I UNDERSTAND IT,
MEMBERS OF THE PUBLIC AT A NUMBER OF DIFFERENT
SPOTS. SO LET'S PROCEED HERE. I GUESS WE CAN'T DO
THE PLEDGE OF ALLEGIANCE SINCE WE'RE SPREAD OUT ALL
OVER. SO, MARIA, IF YOU PLEASE CALL THE ROLL.

MS. BONNEVILLE: SURE. DAVID BRENNER.
KEN BURTIS.

DR. BURTIS: PRESENT.

MS. BONNEVILLE: ANNE-MARIE DULIEGE. HARV
FEDEROFF. ELIZABETH FINI. MICHAEL FRIEDMAN. JUDY
GASSON. DAVID HIGGINS.

DR. HIGGINS: HERE.

MS. BONNEVILLE: STEVE JUELSGAARD.

DR. JUELSGAARD: HERE.

MS. BONNEVILLE: SHERRY LANSING. KATHY
LAPORTE. BERT LUBIN. SHLOMO MELMED.

DR. MELMED: HERE.

MS. BONNEVILLE: LAUREN MILLER.

MS. MILLER: HERE.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: LLOYD MINER. ADRIANA
2 PADILLA.
3 DR. PADILLA: HERE.
4 MS. BONNEVILLE: JOE PANETTA.
5 MR. PANETTA: HERE.
6 MS. BONNEVILLE: ROBERT PRICE. FRANCISCO
7 PRIETO.
8 DR. PRIETO: HERE.
9 MS. BONNEVILLE: ROBERT QUINT. DR. QUINT.
10 DR. QUINT: PRESENT.
11 MS. BONNEVILLE: AL ROWLETT.
12 MR. ROWLETT: HERE.
13 MS. BONNEVILLE: JEFF SHEEHY.
14 MR. SHEEHY: HERE.
15 MS. BONNEVILLE: OS STEWARD.
16 DR. STEWARD: HERE.
17 MS. BONNEVILLE: JONATHAN THOMAS.
18 CHAIRMAN THOMAS: HERE.
19 MS. BONNEVILLE: ART TORRES.
20 MR. TORRES: HERE.
21 MS. BONNEVILLE: KRISTINA VUORI. BRUCE
22 WINTRAUB. DIANE WINOKUR.
23 MS. WINOKUR: HERE.
24 MS. CHEUNG: EXCUSE ME, MARIA. KATHY
25 LAPORTE JUST JOINED AS WELL.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: KATHY, ARE YOU ON THE
2 LINE?

3 MS. LAPORTE: I SURE AM.

4 MS. BONNEVILLE: THANK YOU.

5 CHAIRMAN THOMAS: THANK YOU, MARIA. WE'LL
6 PROCEED NOW TO ITEM NO. 3 ON THE AGENDA, WHICH IS
7 CONSIDERATION OF APPLICATIONS SUBMITTED IN RESPONSE
8 TO CLIN1: PARTNERING OPPORTUNITY FOR LATE STAGE
9 PRECLINICAL PROJECTS AND CLIN2: PARTNERING
10 OPPORTUNITY FOR CLINICAL TRIAL STAGE PROJECTS. I'M
11 GOING TO TURN THE MEETING OVER TO MR. SHEEHY.

12 MR. SHEEHY: THANK YOU, J.T. SO IS DR.
13 SAMBRANO GOING TO INTRODUCE THIS, OR DO WE HAVE
14 SOMEONE ELSE FROM THE REVIEW TEAM WHO WILL INTRODUCE
15 THESE PROJECTS?

16 DR. SAMBRANO: YES. THIS IS GIL, AND I
17 WILL BE INTRODUCING ALL OF THE PROGRAMS.

18 MR. SHEEHY: SO SHOULD WE START WITH THE
19 SUMMARY OF THE CLIN1-0671?

20 DR. SAMBRANO: YES. SO I'M GOING TO
21 INTRODUCE THE PROGRAM AND WE WILL START WITH 0671.

22 I HAVE A SLIDE DECK THAT HAS BEEN MADE
23 AVAILABLE FOR THOSE WHO ARE ON WEBEX. YOU CAN SEE
24 IT, BUT I WILL TELL YOU IF SOMETHING IMPORTANT ON
25 THESE SLIDES POP UP JUST SO YOU DON'T MISS ANYTHING.

BARRISTERS' REPORTING SERVICE

1 SO ON THE FIRST SLIDE THAT I'M SHOWING IS
2 JUST THE CLINICAL STAGE PROGRAM, A REMINDER THAT FOR
3 THIS PROGRAM WE ACCEPT APPLICATIONS FOR IND-ENABLING
4 WORK, CLINICAL TRIAL, AS WELL AS FOR SUPPLEMENTAL
5 ACTIVITIES FOR CLINICAL TRIALS AND IND-ENABLING
6 WORK.

7 TODAY WE'RE CONSIDERING TWO APPLICATIONS,
8 ONE UNDER THE CLIN1 PROGRAM AND ANOTHER UNDER THE
9 CLIN2 PROGRAM.

10 ON THE NEXT SLIDE IS A REMINDER OF THE
11 SCORING SYSTEM THAT WE UTILIZE FOR OUR CLINICAL
12 PROGRAM. A SCORE OF 1, 2, OR 3 WHERE A SCORE OF 1
13 MEANS THAT THE APPLICATION HAS EXCEPTIONAL MERIT AND
14 WARRANTS FUNDING. A SCORE OF 2 MEANS THAT THE
15 APPLICATION NEEDS IMPROVEMENT, DOES NOT WARRANT
16 FUNDING AT THIS TIME, BUT COULD BE RESUBMITTED TO
17 ADDRESS THOSE AREAS FOR IMPROVEMENT. AND THEN,
18 FINALLY, A SCORE OF 3 MEANS THAT THE APPLICATION WAS
19 DEEMED TO BE SUFFICIENTLY FLAWED THAT IT WOULDN'T
20 WARRANT FUNDING AND CANNOT BE RESUBMITTED FOR AT
21 LEAST SIX MONTHS. SO THAT IS THE SCORING SYSTEM.

22 AND THE FIRST APPLICATION UNDER
23 CONSIDERATION IS CLIN1-08671. THIS IS AN
24 APPLICATION FOR PRECLINICAL DEVELOPMENT OF A CELL
25 THERAPY AND A DEVICE FOR DIABETES. THE THERAPY

BARRISTERS' REPORTING SERVICE

1 INVOLVES HUMAN EMBRYONIC STEM CELL-DERIVED
2 PANCREATIC PROGENITOR CELLS THAT ARE DELIVERED VIA A
3 DEVICE, THAT, UNLIKE A PREVIOUS DEVICE THAT WAS
4 UTILIZED, ALLOWS FOR DIRECT VASCULARIZATION.

5 THE INDICATION IS FOR HIGH RISK TYPE 1
6 DIABETES PATIENTS INCLUDING THOSE WITH BRITTLE
7 DIABETES AND HYPOGLYCEMIA UNAWARENESS. THE GOAL, OF
8 COURSE, IS TO COMPLETE PRECLINICAL RESEARCH
9 ACTIVITIES THAT WOULD BE NEEDED TO SUBMIT AN IND AND
10 THEN SUPPORT A FUTURE CLINICAL TRIAL.

11 THE MAJOR ACTIVITIES ARE RELATED TO
12 MANUFACTURING AND QUALITY CONTROL OF THE CELLS AND
13 DEVICES, TO CONDUCT A PRECLINICAL SAFETY STUDY WITH
14 THE NEW DEVICES IN PARTICULAR, AND TO PREPARE AND
15 SUBMIT AN IND TO THE FDA TO ALLOW FOR CLINICAL
16 TESTING.

17 THE FUNDS REQUESTED IS ABOUT 3.9 MILLION.
18 THERE IS CO-FUNDING THAT IS PROVIDED BY THE
19 APPLICANT.

20 ON THE NEXT SLIDE, I'LL SHOW THE OUTCOME
21 OF THE REVIEW. AS ALWAYS FOR CLINICAL PROGRAMS, WE
22 CONDUCT A BUDGET REVIEW, AND THE APPLICATION PASSED
23 THE BUDGET REVIEW TO ENSURE THAT ALL COSTS ARE, IN
24 GENERAL, WITHIN SCOPE AND ARE REASONABLE.

25 THE GRANTS WORKING GROUP THEN REVIEWED THE

BARRISTERS' REPORTING SERVICE

1 APPLICATION. THIS PARTICULAR APPLICATION WAS
2 REVIEWED TWICE, BUT IN THE LAST REVIEW RECEIVED A
3 SCORE OF 1. THERE WERE SIX VOTES OF THE MEMBERS
4 VOTING FOR A SCORE OF 1, FOUR FOR A SCORE OF 2, AND
5 THREE THAT GAVE IT A SCORE OF 3. THE CIRM TEAM ALSO
6 EXAMINED THE PROCESS AND THE PROPOSALS TO ENSURE
7 THAT THE APPLICATIONS MEET WHAT WE ARE LOOKING FOR
8 AND THAT THE PROCESS WAS DONE IN AN APPROPRIATE WAY.
9 AND AS SUCH, WE CONCUR WITH THE RECOMMENDATION OF
10 THE GRANTS WORKING GROUP TO AWARD 3.9 MILLION TO
11 THIS APPLICANT.

12 SO AT THIS POINT I GUESS WE CAN PAUSE AND
13 CONSIDER THIS PROPOSAL. MR. SHEEHY.

14 MR. SHEEHY: THANK YOU, DR. SAMBRANO. SO
15 DO I HAVE A MOTION TO EITHER ACCEPT OR NOT ACCEPT
16 THE RECOMMENDATION OF THE GRANTS WORKING GROUP?

17 MR. TORRES: MOVE TO ACCEPT.

18 DR. PRIETO: I'LL SECOND.

19 MR. SHEEHY: SO IT'S MOVED BY SENATOR
20 TORRES AND SECONDED BY DR. PRIETO. DO WE HAVE
21 DISCUSSION? DOES ANY MEMBER OF THE COMMITTEE WISH
22 TO COMMENT, ASK QUESTIONS, ETC.?

23 DR. JUELGAARD: JEFF, THIS IS STEVE
24 JUELGAARD. CAN YOU HEAR ME?

25 MR. SHEEHY: YES, I CAN.

BARRISTERS' REPORTING SERVICE

1 DR. JUELSGAARD: SO I DO HAVE A QUESTION
2 OF DR. SAMBRANO. SO WHEN I LOOK AT THE SCORING --
3 YOU'RE GOING TO HAVE TO REMIND ME OF HOW THE SCORING
4 WORKS BECAUSE, TO BE HONEST WITH YOU, I'VE
5 FORGOTTEN. I APOLOGIZE FOR THAT IN ADVANCE. BUT
6 WHEN I LOOK AT THE SCORING, WE HAVE 13 PEOPLE WHO
7 SCORED THIS. SIX OUT OF THE 13 OR LESS THAN 50
8 PERCENT SCORED IT A 1. WE THEN HAD FOUR THAT SCORED
9 IT AS, WELL, MAYBE BRING IT BACK AGAIN WITH SOME
10 CLEANUP AND WE'LL LOOK AT IT. YOU CAN BRING IT BACK
11 AGAIN AFTER SIX MONTHS IF YOU WANT TO AND WE
12 CONSIDER WHAT YOU'RE DOING.

13 SO THE WAY I READ THIS IS WE HAVE, IN
14 ESSENCE, SIX THAT SAY, YES, LET'S GO TODAY AND SEVEN
15 THAT SAY, WELL, WAIT A MINUTE, HANG ON, WE MAY BE
16 ABLE TO GO WITH THIS BUT NOT RIGHT NOW. SO HOW DOES
17 THIS SCORING WORK THAT WE DERIVE A 1 FROM THE WAY
18 THE BREAKUP OF THE SCORES ARE?

19 DR. SAMBRANO: SURE. THE WAY WE DO THIS
20 IS BASED ON A PLURALITY OF MEMBERS. SO FOR A SCORE
21 OF 1 OR A SCORE OF 2, A PLURALITY VOTE OF THE
22 MEMBERS IS WHAT DETERMINES THE SCORE. FOR A SCORE
23 OF 3, THERE'S A MAJORITY THAT IS REQUIRED. AND PART
24 OF IT WAS THAT PREVIOUSLY YOU MIGHT RECALL THAT THAT
25 WAS KIND OF A DON'T COME BACK SCORE, AND THAT'S WHY

BARRISTERS' REPORTING SERVICE

1 WE REQUIRED A MAJORITY FOR A SCORE OF 3.

2 AND BECAUSE THERE ARE THREE CATEGORIES, AS
3 IN THIS EXAMPLE, THERE ARE OFTEN CASES WHERE YOU'RE
4 NOT GOING TO HAVE A CLEAR MAJORITY. AND THAT'S THE
5 REASON, IN GENERAL, THAT WE WENT WITH A PLURALITY
6 THAT DETERMINES THE SCORE. AND YOU CAN VIEW IT A
7 COUPLE OF WAYS. THE VOTES FOR A SCORE OF 2 ARE
8 PERHAPS AMBIVALENT, BUT THEY DO SUGGEST THAT THE
9 APPLICATION, AT LEAST IN THE OPINION OF REVIEWERS,
10 HAS MERIT. AND AS YOU SAID, THERE MAY BE SOME
11 THINGS THAT NEED TO BE CLEANED UP, BUT OTHERWISE IS
12 MERITORIOUS. SO TEN VERSUS THREE THAT FEEL THAT IT
13 HAS MERIT OR SIX VERSUS SEVEN IN TERMS OF WHETHER IT
14 SHOULD BE FUNDED RIGHT NOW. BUT THE SCORE IS
15 CARRIED BY A PLURALITY OF VOTES.

16 DR. JUELSGAARD: ALL RIGHT. I'M NOT SURE
17 IF WE HAD THIS PARTICULAR SITUATION. AND I'LL JUST
18 SAY THIS AND THEN LEAVE IT AT THAT. IT JUST SEEMS
19 ODD THAT WE HAVE SEVEN PEOPLE OUT OF 13 WHO BELIEVE
20 THAT IT ISN'T QUITE READY FOR PRIME TIME AND ONLY
21 SIX OF THE 13 WHO BELIEVE IT DOES. AND SO DID THE
22 PEOPLE WHO SCORED IT 2 UNDERSTAND THAT THEIR SCORES
23 EFFECTIVELY ARE GOING TO BE BUMPED UP TO 1 ALONG THE
24 WAY?

25 DR. SAMBRANO: WELL, THEIR SCORES ARE NOT

BARRISTERS' REPORTING SERVICE

1 BUMPED UP. IT'S JUST THAT IT'S THE PLURALITY OF THE
2 MEMBERS THAT DRIVE THE SCORE. AND THEY DO
3 UNDERSTAND. WE EXPLAIN THE RULES BEHIND WHAT
4 DETERMINES THE FINAL SCORE, AND IN THIS CASE THAT IT
5 WAS THE PLURALITY THAT DETERMINED THE SCORE FOR THIS
6 ONE.

7 DR. MELMED: COULD I EXTEND THAT QUESTION?
8 I EXTEND THAT QUESTION? IS IT POSSIBLE FOR THE
9 COMMITTEE TO HEAR WHAT THE CONCERNS OF THE THREE 3S
10 WERE? WHAT ARE THE CONCERNS BECAUSE THIS IS \$4
11 MILLION. IT'S A SIZABLE GRANT. AND IF THERE WERE
12 SEVEN PEOPLE WHO ARE VOTING AGAINST FUNDING NOW, I
13 THINK IT WOULD HELP THIS COMMITTEE IF WE HEARD WHAT
14 THOSE CONCERNS WERE ESPECIALLY FOR THOSE WHO GAVE IT
15 A 3.

16 DR. SAMBRANO: SO THE SUMMARY THAT WE
17 PROVIDED HAS AN OVERVIEW OF BOTH THE STRENGTHS AND
18 THE WEAKNESSES OF THIS APPLICATION. AND THERE WERE
19 A COUPLE OF REVIEWS THAT THIS APPLICATION WENT
20 THROUGH. SO SOME OF THE CONCERNS RELATED TO THE
21 RATIONALE FOR UTILIZING THIS PERFORATED CAPSULATION
22 DEVICE VERSUS THE ORIGINAL DEVICE THAT HAS BEEN AND
23 CONTINUES TO BE TESTED.

24 I THINK ULTIMATELY THE REVIEWERS FELL ON
25 THE SIDE THAT THEY WANTED TO GIVE AN OPPORTUNITY TO

BARRISTERS' REPORTING SERVICE

1 THE APPLICANTS TO TRY AND CONDUCT SOME OF THE
2 PRECLINICAL ACTIVITIES, ESPECIALLY THE SAFETY AND
3 TUMOROGENICITY STUDIES, TO ASSESS WHETHER THIS NEW
4 DEVICE WOULD HOLD PROMISE AND ULTIMATELY BE ABLE TO
5 SUPPORT A CLINICAL TRIAL.

6 I THINK THERE WAS DOUBT AMONG THE
7 REVIEWERS WHETHER THAT WOULD END UP BEING THE CASE,
8 BUT ULTIMATELY THEY FELT THAT IT WAS IMPORTANT TO AT
9 LEAST GIVE IT A CHANCE.

10 DR. MELMED: CAN I INTERRUPT? SIX FELT
11 THAT, BUT SEVEN DIDN'T.

12 DR. SAMBRANO: NO. I CAN'T TELL YOU WHAT
13 EACH INDIVIDUAL FELT. ALL I CAN EXPLAIN IS WHAT THE
14 SENSE OF THE GROUP AS A WHOLE WAS. SO THERE WERE
15 COMMENTS THAT WERE PROVIDED BY MANY OF THESE
16 REVIEWERS THAT IN GENERAL WERE FAVORABLE, BUT ALSO
17 THAT EXPRESSED SOME CONCERN. AND ULTIMATELY SOME
18 FELL ON THE SIDE OF WE FEEL, GIVEN WHAT WE'VE HEARD
19 AND WHERE WE ARE, THAT THIS IS SOMETHING THAT SHOULD
20 BE FUNDED NOW. THERE WERE FOUR THAT FELT, WELL,
21 MAYBE THEY CAN TWEAK THIS, AND THREE THAT FELT,
22 WELL, I DON'T FEEL THAT THIS IS SOMETHING THAT WE
23 CAN FUND NOW. BUT BEYOND THAT, I REALLY CANNOT
24 SPEAK TO EACH INDIVIDUAL VIEW.

25 DR. MELMED: THANK YOU.

BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: DO WE HAVE OTHER COMMENTS AND
2 QUESTIONS? SO THERE'S PUBLIC AT SOME SITES. ARE
3 THERE ANY MEMBERS OF THE PUBLIC WHO WISH TO SPEAK TO
4 THIS APPLICATION? OKAY. IS THERE SOMEONE WHO
5 WISHES TO MAKE PUBLIC COMMENT?

6 MS. CHEUNG: NOT IN OAKLAND.

7 DR. DULIEGE: JEFF, THIS IS ANNE-MARIE
8 DULIEGE. I'M SORRY. I HAD A QUESTION ACTUALLY.

9 MR. SHEEHY: PLEASE DO.

10 DR. DULIEGE: I JUST WANT TO HEAR FROM THE
11 STAFF AT CIRM WHAT IS THE ALTERNATIVE IF WE DECIDE
12 THAT STILL THERE'S SUFFICIENT CONCERN THAT IT NEEDS
13 FURTHER REVIEW, THEY WERE NOT FULLY SUPPORTIVE OF
14 GRANTING THIS GRANT? WHAT WILL BE THE CONSEQUENCES
15 FOR THE APPLICANTS? OBVIOUSLY THEY WON'T GET THE
16 MONEY, BUT WHAT CAN THEY DO? CAN THEY REPROCESS
17 THEIR APPLICATION, ADDRESSING SOME OF THE REMAINING
18 CONCERNS.

19 DR. SAMBRANO: THEY COULD. SO IF YOU
20 DECIDE THAT THIS REALLY IS AN APPLICATION THAT
21 SHOULD NOT BE FUNDED NOW, AND, JAMES, YOU CAN HELP
22 ME IN TERMS OF WHETHER THIS COMMITTEE CAN ASSIGN IT
23 A SCORE OF 2 AND, THEREFORE, ALLOW IT TO RESUBMIT,
24 OR A SCORE OF 3, OR WHETHER THE DECISION IS SIMPLY
25 JUST TO NOT FUND. BUT EITHER WAY, THEY WOULD HAVE

BARRISTERS' REPORTING SERVICE

1 THE OPPORTUNITY TO COME BACK.

2 MR. HARRISON: GIL, IN RESPONSE TO THAT
3 QUESTION, THE COMMITTEE COULD DECIDE TO ASSIGN A
4 SCORE OF 2 IF IT WISHED.

5 DR. DULIEGE: OKAY.

6 CHAIRMAN THOMAS: QUESTION FOR GIL. JUST
7 TO REITERATE, IT IS THE TEAM'S RECOMMENDATION THAT
8 THIS PROPOSAL BE APPROVED?

9 DR. SAMBRANO: IT IS.

10 CHAIRMAN THOMAS: THANK YOU.

11 DR. PRIETO: IS IT APPROPRIATE FOR ME TO
12 COMMENT ON THE DISCUSSION AT THE GWG SINCE I
13 PARTICIPATED IN THAT REVIEW?

14 MR. SHEEHY: YEAH. IT'S ABSOLUTELY
15 APPROPRIATE.

16 DR. PRIETO: OKAY. SO I THINK MAYBE I CAN
17 SHED A LITTLE BIT OF LIGHT ON THIS. THIS IS AN
18 APPLICANT THAT WE HAVE FUNDED SIGNIFICANTLY UP TO
19 THIS POINT AND WHO IS IN CLINICAL TRIALS ALREADY.
20 AND IN THOSE CLINICAL TRIALS THEY ENCOUNTERED, AND I
21 THINK MOST OF YOU ARE PROBABLY FAMILIAR WITH THE
22 BASIC TECHNOLOGY HERE, THIS IS A HUMAN EMBRYONIC
23 STEM CELL APPLICATION, A DEVICE THAT IS IMPLANTED
24 INTO PEOPLE WITH SEVERE TYPE 1 DIABETES. THEY
25 ENCOUNTERED SEVERAL OBSTACLES TO ENGRAFTMENT IN SOME

BARRISTERS' REPORTING SERVICE

1 OF THEIR INITIAL SUBJECTS AND ARE LOOKING AT SEVERAL
2 APPROACHES TO TRY TO IDENTIFY, AND MAYBE GIL CAN
3 CORRECT ME IF I'M MISSTATING THIS, BUT WHERE THE
4 EXACT BARRIERS ARE.

5 THEY HAVE SOME INDICATIONS FROM THEIR
6 PRELIMINARY RESULTS THAT THIS OR THAT MAY BE THE
7 BARRIER TO ENGRAFTMENT, AND A SUBJECT WHO HAS HAD
8 ENGRAFTMENT AND, OF COURSE, ALL OF THIS IS ONGOING
9 AND VERY EARLY WORK, BUT THEY ARE LOOKING AT VARIOUS
10 APPROACHES TO OVERCOME THAT AND ACHIEVE ENGRAFTMENT
11 OF THEIR DEVICE NOW OF THE CELLS.

12 SOME OF THE MEMBERS OF THE WORKING GROUP
13 ARE SKEPTICAL ABOUT THE POTENTIAL FOR SUCCESS FOR
14 SOME OF THEIR SUGGESTED SOLUTIONS. I THINK A
15 MAJORITY OF THEM, AS EVIDENCED BY THE VOTE, THINK
16 THAT THE IDEA DEFINITELY HAVE -- THAT THEIR IDEAS
17 HAVE MERIT. THERE WAS A DIFFERENCE OF OPINION ABOUT
18 THIS OR THAT POTENTIAL SOLUTION AND HOW VIABLE THAT
19 MIGHT BE. MY FEELING IS THAT UNLESS WE ALLOW THEM
20 TO DO THE WORK AND CONTINUE TO TRY TO SOLVE THESE
21 PROBLEMS, WE WON'T HAVE ANY WAY OF KNOWING THE
22 ANSWER AND KNOWING WHAT IS THE -- HOW DO YOU
23 OVERCOME THE BARRIER.

24 DR. DULIEGE: THANK YOU. THAT'S VERY
25 HELPFUL.

BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: DO WE HAVE OTHER QUESTIONS OR
2 COMMENTS?

3 MR. PANETTA: JEFF, THIS IS JOE PANETTA.
4 I JUST WANT TO FOLLOW UP ON THAT SO THAT I CAN
5 BETTER UNDERSTAND WHERE THIS MIGHT PLACE US IF WE
6 CHOOSE TO GO DOWN AN ALTERNATIVE PATH. IF I
7 UNDERSTAND THIS APPLICATION AND REMEMBER IT, WE'VE
8 MADE A VERY, VERY SIGNIFICANT INVESTMENT IN THIS
9 APPLICANT UP TO THIS POINT OVER THE COURSE OF A
10 NUMBER OF YEARS. AND IT SOUNDS AS THOUGH WE'RE AT A
11 POINT NOW WHERE IF WE GO WITH THE RECOMMENDATION TO
12 ADOPT THIS NEW APPROACH, THAT THIS COULD POTENTIALLY
13 HELP TO GET THIS APPLICANT FURTHER DOWN THE ROAD
14 TOWARD ACCOMPLISHING THE GOALS THAT WE HOPE THEY CAN
15 ACCOMPLISH THROUGH ALL THE INVESTMENT THAT WE'VE
16 MADE. IF WE DON'T, DO WE SEND THEM BACK TO THE
17 DRAWING BOARD? ARE THEY STOPPED DEAD IN THEIR
18 TRACKS? WHERE DOES THIS PLACE US?

19 MR. SHEEHY: RIGHT NOW THE MOTION WE HAVE
20 ON THE FLOOR IS TO APPROVE THIS APPLICATION. THE
21 ALTERNATIVE, AND I'M GOING INTUIT FROM KIND OF THE
22 DISCUSSION AND FROM WHAT JAMES SAID, IS THAT ONE
23 ALTERNATIVE MIGHT BE THAT WE VOTE DOWN THIS MOTION
24 OR A NEW MOTION GETS SUBSTITUTED THAT AWARDS THIS A
25 2. IF IT GETS AWARDED A 2, THEN IT GOES BACK TO THE

BARRISTERS' REPORTING SERVICE

1 WORKING GROUP AND TO THE APPLICANT FOR THE APPLICANT
2 TO RESUBMIT TO TRY TO BETTER ADDRESS THE CONCERNS
3 THAT WERE EXPRESSED BY THE REVIEWERS WHO GAVE IT A
4 2. DOES THAT MAKE SENSE?

5 MR. PANETTA: YEAH, IT DOES. I'M JUST --
6 I'M QUESTIONING IT MORE -- AND THAT'S A GREAT
7 EXPLANATION. THANKS. I'M QUESTIONING IT MORE
8 BECAUSE IT SOUNDS AS THOUGH, FROM WHAT I JUST HEARD,
9 IF THE APPLICANT ADOPTS THIS APPROACH, THE IDEA
10 BEHIND ADOPTING THIS APPROACH IS TO TRY TO GET
11 AROUND WHAT SOUNDS LIKE A DIFFICULTY THAT THEY'RE
12 ENCOUNTERING IN THE CURRENT APPROACH THAT THEY'RE
13 TAKING. AND MAYBE THIS IS A DISCUSSION FOR LATER ON
14 IF WE DECIDE TO GO DOWN THAT ROAD, BUT I'M TRYING TO
15 BETTER UNDERSTAND WHAT ALTERNATIVE THEY MIGHT HAVE
16 TO GO BACK AND IMPROVE THIS APPLICATION BECAUSE WHAT
17 I THOUGHT I HEARD WAS THAT SOME PEOPLE WERE
18 SKEPTICAL THAT THIS APPROACH MIGHT NOT WORK.

19 MR. SHEEHY: MAYBE FRANCISCO CAN CORRECT
20 ME IF I MISS A POINT OR TWO. I THINK THAT THEY ARE
21 TRYING TO MODIFY THIS, AS DR. PRIETO SAID, TO GET
22 BETTER ENGRAFTMENT. AND PART OF WHAT'S BEING
23 CONTEMPLATED HERE IS GOING INTO A SICKER POPULATION.
24 SO THERE ARE TWO PARTS TO IT. AND SO IT DOES FEEL
25 AS THOUGH IT'S A LITTLE BIT HIGH RISK KIND OF

BARRISTERS' REPORTING SERVICE

1 APPROACH, BUT ALSO THAT RISK IS BEING BALANCED BY
2 GOING INTO POTENTIALLY A HIGHER -- A GREATER NEED
3 POPULATION.

4 AND I THINK THAT, AS FRANCISCO SAID, THIS
5 IS REALLY ABOUT THE PRECLINICAL WORK TO REALLY
6 DETERMINE IF THIS APPROACH HAS ANY MERIT AT ALL.
7 AND I THINK THE PEOPLE WHO VOTED TO PUT THIS FORWARD
8 SAID, YOU KNOW, THIS IS A HIGH RISK, POTENTIALLY
9 HIGH REWARD TYPE OF EXPERIMENTS THAT ARE GOING TO
10 TAKE PLACE, BUT THERE WERE PEOPLE WHO THOUGHT THAT
11 THE EXPERIMENTS MIGHT NOT SUCCEED AND THAT THAT WAS
12 KIND OF THE PUSH AGAINST IT. AM I CORRECT ON THAT,
13 FRANCISCO? IS THAT KIND OF CAPTURING WHAT THE
14 DISCUSSION WAS?

15 DR. PRIETO: YES. I THINK THAT DOES
16 CAPTURE IT. AND I THINK THIS IS ONE OF, BUT NOT THE
17 ONLY APPROACHES THAT THIS APPLICANT IS LOOKING AT TO
18 OVERCOME THE BARRIERS THAT THEY'RE SEEING. THERE
19 WAS SOME SKEPTICISM ABOUT THIS PARTICULAR ONE AND
20 DISCUSSION ABOUT, WELL, I DON'T KNOW IF YOU WANT TO
21 SAY MORE DRASTIC, BUT IT DOES INVOLVE SOME OTHER
22 STEPS AND ISSUES FOR THE PEOPLE WHO WOULD BE
23 INVOLVED IN A CLINICAL TRIAL IF THIS GOES FORWARD,
24 BUT THAT WAS PART OF THE RATIONALE FOR USING A
25 SICKER OR MORE SEVERELY AFFECTED POPULATION.

BARRISTERS' REPORTING SERVICE

1 MR. PANETTA: THANKS.

2 MR. SHEEHY: SO DO WE HAVE MORE QUESTIONS,
3 COMMENTS?

4 DR. JUELSGAARD: JEFF, THIS IS STEVE
5 JUELSGAARD. SO TWO. THE FIRST IS THE FUNDS
6 REQUESTED ARE CLOSE TO \$4 MILLION, AND THERE'S CLOSE
7 TO A MILLION DOLLARS IN CO-FUNDING. AND, AGAIN,
8 THIS IS JUST TRYING TO REMEMBER WHAT KIND OF THE
9 RULES OF THE ROAD ARE HERE. SO I TAKE IT THAT A ONE
10 FOR FOUR CO-FUNDING IS ACCEPTABLE IN THIS SITUATION;
11 IS THAT RIGHT?

12 DR. SAMBRANO: YES, IT IS. THE AMOUNT OF
13 CO-FUNDING THAT IS PROVIDED IS WHAT IS REQUIRED OF
14 FOR-PROFIT APPLICANTS AT THIS STAGE OF DEVELOPMENT.

15 DR. JUELSGAARD: OKAY. AND THEN THE
16 SECOND THING IS, WE DO THIS, I KNOW, FROM TIME TO
17 TIME, THE QUESTION IS I KNOW YOU GUYS CREATE
18 MILESTONES AS THINGS MOVE FORWARD THAT PEOPLE HAVE
19 TO MEET; AND IF THEY DON'T MEET THEM OR MEET THEM IN
20 A TIMELY MANNER, THEN THAT KIND OF BRINGS EVERYTHING
21 TO A HALT. SO THE QUESTION IS, AND I COME BACK TO
22 THE FACT THAT I STILL SEE THIS AS A SEVEN TO SIX
23 VOTE NOT IN FAVOR OF PROCEEDING, JUST FROM A GWG
24 POINT OF VIEW, FORGET ABOUT OUR PLURALITY APPROACH
25 FOR A MOMENT. THAT'S JUST A LITTLE TROUBLING TO ME,

BARRISTERS' REPORTING SERVICE

1 AND MAYBE WE COULD TAKE THIS ISSUE BACK UP OF HOW WE
2 COUNT THOSE AT A MEETING AT THE NEXT BOARD MEETING
3 OR WHATEVER, BUT LET'S PUT THAT ASIDE BECAUSE THIS
4 IS THE RULES THAT WE HAVE NOW.

5 SO IS THERE A WAY OF BUILDING A MILESTONE,
6 THAT IF THEY DON'T ACHIEVE CERTAIN THINGS THAT ARE
7 CRITICAL HERE, WE KIND OF CLOSE THE DOOR ON SPENDING
8 ANY MORE MONEY?

9 DR. SAMBRANO: YES. ABSOLUTELY. SO THAT
10 IS PART OF THE PROCESS THAT WE ENGAGE IN WITH ALL
11 APPLICANTS AND ALL GRANTEES. AND IT'S ONE OF THE
12 FIRST STEPS THAT WE TAKE WHEN FUNDING IS APPROVED BY
13 YOU. SO WHEN THAT HAPPENS, WE GET TOGETHER WITH THE
14 GRANTEE TO BE AND DEFINE SPECIFIC OPERATIONAL
15 MILESTONES TO ENSURE THAT THEY CAN ACHIEVE THE GOALS
16 OF THE PROPOSAL. AND WE ALSO MONITOR AND CAN MANAGE
17 DISBURSEMENT OF FUNDS APPROPRIATELY.

18 DR. JUELSGAARD: GREAT. OKAY.

19 MR. SHEEHY: SO DOES THAT ANSWER YOUR
20 QUESTION, STEVE?

21 DR. JUELSGAARD: YES, IT DOES. YES.
22 THANK YOU.

23 MS. LAPORTE: THIS IS KATHY. DO WE HAVE
24 ANY SENSE IN THAT REGARD OF WHAT'S THE LIKELIHOOD
25 THAT WE'LL KNOW WHETHER THEY'RE HITTING THIS ON THE

BARRISTERS' REPORTING SERVICE

1 FIRST MILLION DOLLARS OR SOME PROJECTS UNFORTUNATELY
2 TAKE (INAUDIBLE)? DO YOU HAVE A READ ON IT?

3 DR. SAMBRANO: I REALLY CAN'T SAY OR HAVE
4 A READ ON EXACTLY WHEN OR HOW MUCH IT WOULD TAKE. I
5 MEAN THEY HAVE LAID OUT IN A CHART WHAT THE PROPOSED
6 ACTIVITIES ARE THAT ENTAIL MANUFACTURING, DOING SOME
7 OF THE PRECLINICAL WORK. MANY OF THE STUDIES OR AT
8 LEAST SOME OF THE STUDIES ARE STARTING EARLY ON,
9 SOME ARE BEGINNING NEAR THE MIDDLE OF THE TWO-YEAR
10 AWARD TIME, AND THEY ALSO HAVE PARALLEL WORK THAT'S
11 GOING ON. SO IT WOULD BE DIFFICULT FOR ME TO KNOW
12 OR TO SAY WHERE IT IS THAT THEY MIGHT MOST LIKELY
13 RUN INTO DIFFICULTIES FOR THIS ONE.

14 MR. SHEEHY: DOES THAT ANSWER YOUR
15 QUESTIONS, KATHY?

16 MS. LAPORTE: YES. THANKS.

17 MR. SHEEHY: ADDITIONAL QUESTIONS OR
18 COMMENTS? AGAIN, I'LL ASK FOR PUBLIC COMMENT.
19 THEN, MARIA, COULD YOU CALL THE ROLL? AND THE
20 MOTION IS TO ACCEPT THE RECOMMENDATION AND APPROVE
21 THIS FOR FUNDING.

22 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

23 DR. DULIEGE: YES.

24 MS. BONNEVILLE: DAVID HIGGINS.

25 DR. HIGGINS: YES.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: STEVE JUELSGAARD.
2 DR. JUELSGAARD: YES.
3 MS. BONNEVILLE: KATHY LAPORTE.
4 MS. LAPORTE: YES.
5 MS. BONNEVILLE: LAUREN MILLER.
6 MS. MILLER: YES.
7 MS. BONNEVILLE: ADRIANA PADILLA.
8 DR. PADILLA: YES.
9 MS. BONNEVILLE: JOE PANETTA.
10 MR. PANETTA: YES.
11 MS. BONNEVILLE: FRANCISCO PRIETO.
12 DR. PRIETO: AYE.
13 MS. BONNEVILLE: ROBERT QUINT.
14 DR. QUINT: NO.
15 MS. BONNEVILLE: AL ROWLETT.
16 MR. ROWLETT: YES.
17 MS. BONNEVILLE: JEFF SHEEHY.
18 MR. SHEEHY: YES.
19 MS. BONNEVILLE: OS STEWARD.
20 DR. STEWARD: YES.
21 MS. BONNEVILLE: JONATHAN THOMAS.
22 CHAIRMAN THOMAS: YES.
23 MS. BONNEVILLE: ART TORRES.
24 MR. TORRES: AYE.
25 MS. BONNEVILLE: DIANE WINOKUR.

BARRISTERS' REPORTING SERVICE

1 MS. WINOKUR: YES.

2 MS. BONNEVILLE: MOTION CARRIES.

3 MR. SHEEHY: GREAT. THANK YOU. SO DR.
4 SAMBRANO, COULD WE NOW TAKE UP 08938?

5 DR. SAMBRANO: YES. THANK YOU, MR.
6 SHEEHY. SO THIS NEXT APPLICATION IS FOR A PHASE III
7 CLINICAL TRIAL OF AN ACELLULAR GRAFT FOR
8 HEMODIALYSIS. SO THE THERAPEUTIC HERE IS AN
9 IMPLANTED HUMAN ACELLULAR VESSEL, AND IT IS FOR END
10 STAGE RENAL DISEASE PATIENTS THAT REQUIRE VASCULAR
11 ACCESS FOR HEMODIALYSIS.

12 THE GOAL OF THIS WORK IS TO COMPLETE A
13 PHASE III CLINICAL TRIAL TO GAIN FDA APPROVAL FOR
14 CLINICAL USE OF THIS PRODUCT.

15 THE MAJOR PROPOSED ACTIVITIES INCLUDE THE
16 MANUFACTURING AND DISTRIBUTION OF THIS ACELLULAR
17 VESSEL FOR CLINICAL TESTING, ENROLLMENT OF PHASE III
18 CLINICAL TRIAL, AND IMPLANTATION OF THE DEVICE INTO
19 PATIENTS THAT REQUIRE VASCULAR ACCESS, AND THEN A
20 LONGITUDINAL PATIENT FOLLOW-UP, DATA COLLECTION, AND
21 ANALYSIS, AND ULTIMATELY REGULATORY APPROVAL FOR THE
22 PRODUCT.

23 THE FUNDS REQUESTED ARE 9.9 MILLION, AND
24 THERE IS AN EQUIVALENT AMOUNT OF CO-FUNDING THAT IS
25 BEING PROVIDED.

BARRISTERS' REPORTING SERVICE

1 THE NEXT SLIDE, WHICH IS THE OVERVIEW OF
2 THE OUTCOME OF THE REVIEW. AGAIN, FOR THE BUDGET
3 REVIEW THAT WE CONDUCT, THE APPLICATION PASSED. THE
4 GRANTS WORKING GROUP REVIEWED THIS APPLICATION FOUR
5 TIMES. IN THE FINAL REVIEW THE GRANTS WORKING GROUP
6 GAVE THIS A SCORE OF 1. THERE WERE TEN VOTES GIVING
7 IT A SCORE OF 1, ONE VOTE FOR A SCORE OF 2, AND
8 THREE VOTES FOR A SCORE OF 3. THE CIRM TEAM AGREES
9 AND CONCURS WITH THE RECOMMENDATION OF THE GRANTS
10 WORKING GROUP TO AWARD 9.9 MILLION TO THIS
11 APPLICANT. MR. SHEEHY.

12 MR. SHEEHY: SO COULD I GET A MOTION TO
13 EITHER ACCEPT OR REJECT THE GRANTS WORKING GROUP
14 RECOMMENDATION?

15 MS. WINOKUR: SECOND.

16 MR. SHEEHY: DO WE HAVE A MOTION MAKER?
17 ARE YOU MAKING THE MOTION, DIANE?

18 MS. WINOKUR: YES.

19 MR. SHEEHY: AND IS THIS TO APPROVE? DO
20 WE HAVE A MOTION TO APPROVE?

21 MR. ROWLETT: I'LL MOVE TO APPROVE.

22 MR. SHEEHY: AND THEN, DIANE, YOU'RE
23 SECONDING, YES?

24 MS. WINOKUR: YES.

25 MR. SHEEHY: GREAT. GREAT. DO WE HAVE

BARRISTERS' REPORTING SERVICE

1 DISCUSSION, QUESTIONS, COMMENTS?

2 DR. JUELSGAARD: JEFF, THIS IS STEVE
3 JUELSGAARD AGAIN. SO TO BE QUITE HONEST AND I
4 COULDN'T TELL FROM THIS PRESENTATION WHAT AN
5 IMPLANTED HUMAN ACELLULAR VESSEL IS. AND SO I'D
6 LIKE TO HAVE A LITTLE MORE DESCRIPTION OF EXACTLY
7 WHAT IT IS WE'RE TALKING ABOUT.

8 AND THEN, AGAIN, I COULDN'T UNDERSTAND THE
9 RELATIONSHIP BETWEEN WHATEVER THIS IS AND
10 REGENERATIVE MEDICINE. SO IF SOMEBODY COULD SPEAK
11 TO THE NEXUS BETWEEN WHATEVER IT IS THEY'RE TRYING
12 TO DEVELOP HERE AND REGENERATIVE MEDICINE, THAT
13 WOULD BE HELPFUL AS WELL.

14 WHEN I THINK OF VASCULAR ACCESS, I THINK
15 OF THINGS LIKE PORTS. THESE ARE DEVICES THAT ARE
16 INSERTED INTO THE BODY THAT ALLOW ACCESS IN THIS
17 CASE TO A VEIN OR MIGHT IN THE CASE OF DELIVERING
18 SOME DYE IF YOU ARE GOING TO DO PET SCANS OR
19 WHATEVER WOULD BE INTO AN ARTERIAL VESSEL
20 POTENTIALLY, WHATEVER. SO I'M JUST A LITTLE BIT
21 LOST ABOUT WHAT THIS IS AND HOW THIS RELATES TO
22 REGENERATIVE MEDICINE.

23 DR. SAMBRANO: SURE. THIS IS GIL. SO
24 I'LL TRY TO PROVIDE YOU A BRIEF SUMMARY OF WHAT THIS
25 IS. SO PATIENTS THAT UNDERGO HEMODIALYSIS OBVIOUSLY

BARRISTERS' REPORTING SERVICE

1 NEED ACCESS TO THE VASCULATURE. AND AS YOU
2 INDICATED, THERE ARE DIFFERENT WAYS IN WHICH THIS IS
3 DONE. SO THERE ARE THINGS CALLED AND AV FISTULA
4 WHERE THEY TAKE A PORTION OF VEIN FROM THE PATIENT
5 AND THEY GRAFT IT IN ORDER TO ALLOW ACCESS OVER LONG
6 PERIODS OF TIME TO THIS. SO THEY USE CATHETERS.

7 THIS IS A GRAFT THAT IS KIND OF DO TISSUE
8 ENGINEERING GENERATED FROM AND IS COMPOSED OF
9 EXTRACELLULAR MATRIX MATERIALS, COLLAGEN,
10 FIBRONECTIN, VITRONECTIN, AND OTHER COMPONENTS. AND
11 THROUGH THE CULTURE OF CELLS, IT GENERATES THIS
12 GRAFT AS A LONG TUBE, AND THEN THAT IS
13 DECELLULARIZED. ANY OF THE CELLS THAT WERE USED IN
14 CREATING THAT GRAFT ARE REMOVED, AND SO THEN YOU
15 HAVE WHAT IS THEN THE ACELLULAR GRAFT DEVICE THAT
16 THEN IS USED IN PLACE OF WHAT WOULD BE AND AV
17 FISTULA OR A CATHETER OR ANY OF THE OTHER TOOLS THAT
18 ARE NORMALLY AVAILABLE.

19 AND WHEN IT'S IN PLACE IN THE PATIENT, THE
20 GRAFT ITSELF RECRUITS STEM AND PROGENITOR CELLS THAT
21 THEN POPULATE THE GRAFT ITSELF IN ORDER TO ALLOW THE
22 GRAFT TO STAY IN PLACE. I HOPE THAT HELPS.

23 DR. JUELSGAARD: JUST QUICKLY, GIL, GO
24 BACK TO THE REGENERATIVE MEDICINE ASPECT OF THIS.
25 SO, AGAIN, WHERE DOES THAT FIT IN?

BARRISTERS' REPORTING SERVICE

1 DR. SAMBRANO: WELL, IT IS GENERATING A
2 HUMAN VESSEL. RIGHT? SO RATHER THAN HAVING TO TAKE
3 A VEIN GRAFT FROM A PATIENT, YOU'RE GENERATING AN
4 ARTIFICIAL VESSEL.

5 DR. JUELSGAARD: I REALIZE THAT. YOU SEE,
6 THE WORD I GET HUNG UP ON HERE IS ACELLULAR. THAT
7 MEANS WITHOUT CELLS, RIGHT. I NEVER CONSIDERED
8 SOMETHING THAT WE WOULD FUND VIS-A-VIS REGENERATIVE
9 MEDICINE -- WE FUND SMALL MOLECULES. LET ME AMEND
10 THAT OR LARGE MOLECULES -- BUT ON THE DEVICE FRONT,
11 SOMETHING THAT IS WITHOUT CELLS. SO THE THING THAT
12 WE JUST TALKED ABOUT WITH DIABETES, RIGHT, IT'S
13 CELLS THAT ARE CONTAINED WITHIN A MEMBRANE. I GOT
14 THAT. THAT'S REGENERATIVE MEDICINE STUFF. I CAN
15 UNDERSTAND THAT. I JUST AM HAVING A BIT MORE
16 DIFFICULT TIME TRYING TO FIGURE OUT WHETHER THE
17 REGENERATIVE MEDICINE ASPECT OF THIS IS THAT WE
18 SHOULD BE FUNDING THIS WITH THE FUNDS THAT WE HAVE
19 OR, AS I SAID, FOR REGENERATIVE MEDICINE PURPOSES.

20 DR. SAMBRANO: RIGHT.

21 DR. JUELSGAARD: I'M NOT SAYING THIS IS A
22 BAD IDEA. I'M JUST NOT SURE THIS IS WITHIN OUR
23 MANDATE.

24 DR. SAMBRANO: RIGHT. ONE, WE DO EVALUATE
25 APPLICATIONS FOR ELIGIBILITY. AND CERTAINLY WE WANT

BARRISTERS' REPORTING SERVICE

1 TO MAKE SURE, AS WELL AS YOU, THAT THESE ARE
2 PROGRAMS THAT ARE GOING TO BE ELIGIBLE. THE
3 REGENERATIVE PART THAT YOU'RE ASKING ABOUT COMES
4 INTO PLAY WHEN THE GRAFT IS PLACED ON THE PATIENT
5 AND THE CELLS FROM THE PATIENT THEN ALLOW --
6 INFILTRATE THE GRAFT, THEY POPULATE IT, AND ALLOW
7 THIS GRAFT TO STAY IN PLACE IN ORDER TO SERVE AS A
8 CONDUIT FOR THE VASCULATURE AND FOR ACCESS INTO THE
9 VASCULATURE. SO IT IS ANALOGOUS TO A TRANSPLANT OF
10 ANY OTHER VESSEL FOR A MULTITUDE OF PURPOSES. IN
11 THIS CASE IT IS FOR THE PURPOSE OF HEMODIALYSIS.

12 DR. JUELSGAARD: LET ME JUST FOLLOW UP ON
13 THAT. SO LET'S ASSUME SOMEBODY COMES UP WITH A NEW
14 METHODOLOGY FOR SKIN GRAFTING OR FOR KIDNEY
15 TRANSPLANT OR ANY OTHER THING WHERE NEW CELLS ARE
16 GOING TO HAVE TO BE DEVELOPED. THE BODY WILL
17 DEVELOP NEW CELLS IN ORDER TO ACCEPT THAT SKIN GRAFT
18 OR TO ACCEPT THAT KIDNEY TRANSPLANT, ETC. IS ALL OF
19 THAT WITHIN OUR AMBIT OF WHAT ARE MISSION IS ABOUT?

20 DR. SAMBRANO: YES. AS LONG AS IT
21 INVOLVES STEM OR PROGENITOR CELLS AS AN ASPECT OF
22 IT, THEN, YES, IT DOES.

23 DR. JUELSGAARD: AND HOW DO YOU KNOW THAT
24 THIS INVOLVES A STEM OR PROGENITOR CELL? HOW DO WE
25 KNOW THAT THEY'RE INVOLVED AT ALL? ARE WE TO ASSUME

BARRISTERS' REPORTING SERVICE

1 THAT THAT'S THE CASE?

2 DR. SAMBRANO: NO. THIS IS SOMETHING THAT
3 WHEN THE APPLICANTS APPLIED, IT WAS ONE OF OUR FIRST
4 QUESTIONS IN TERMS OF PROVIDING EVIDENCE THAT THIS
5 HAPPENS. AND SO THEY HAVE DATA AND HAVE DONE
6 HISTOLOGY OF THE GRAFTS AFTER IMPLANTATION THAT
7 SHOWS THAT STEM/PROGENITOR CELLS ARE INVOLVED IN
8 BEING -- THAT THEY ARE RECRUITED TO THE GRAFT, THEY
9 ENTER THE GRAFT, AND ARE RESPONSIBLE FOR MEDIATING
10 THE CELL POPULATION THAT ULTIMATELY MAKES THE GRAFT
11 WORK.

12 DR. PRIETO: GIL, CAN I RESPOND TO THAT
13 AND MAKE SOME COMMENTS?

14 DR. SAMBRANO: YES.

15 DR. PRIETO: SO THIS IS FRANCISCO, AND I
16 WAS ONE OF THE REVIEWERS ON THIS GRANT, I GUESS, AT
17 LEAST A COUPLE OF TIMES. AND I HAD SEVERAL CONCERNS
18 ABOUT THIS, AND SO I WANT TO EXPLAIN WHY, ALTHOUGH I
19 VOTED TO MOVE IT ALONG TO THE FULL BOARD, TO THE
20 ICOC, I'M GOING TO VOTE AGAINST IT. AND SOME OF THE
21 CONCERNS HAVE TO DO WITH WHAT STEVE BROUGHT UP. I
22 QUESTIONED HOW REGENERATIVE THIS WAS. THEY DO USE
23 CELLS TO GROW THE TUBE THAT THEY ARE GOING TO THEN
24 DECELLULARIZE AND ENGRAFT. OF COURSE, THAT TUBE
25 WILL THEN BE REPOPULATED WITH THE CELLS. BUT

BARRISTERS' REPORTING SERVICE

1 ESSENTIALLY THAT SEEMED A LITTLE BIT MARGINAL TO ME.

2 THE GROUP, IT SEEMS TO ME, IS HIGHLY
3 COMPETENT, MORE THAN CAPABLE OF DOING THE WORK THAT
4 THEY SAY THEY WANT TO DO. THEY CAME BACK EACH TIME
5 WE SUGGESTED THAT THIS OR THAT SHOULD BE TWEAKED, WE
6 GAVE THIS A 2, I BELIEVE, AT LEAST TWICE. THEY
7 ANSWERED THOSE CONCERNS AND CAME BACK. SO I BELIEVE
8 THEY'RE CAPABLE OF DOING THE WORK.

9 I ALSO THINK, PARTICULARLY CONSIDERING HOW
10 MARGINALLY REGENERATIVE THIS IS, THAT THIS IS LIKELY
11 A GRANT THAT CAN BE FUNDED ELSEWHERE THAT WOULD
12 QUALIFY UNDER VARIOUS OTHER FUNDING AGENCY'S
13 GUIDELINES AND WOULD BE PROMISING ENOUGH THAT
14 THEY'RE LIKELY TO FIND FUNDING SOMEWHERE.

15 THE OTHER QUESTION AND REALLY MAIN CONCERN
16 WAS WHETHER THIS IS TRULY ADDRESSING AN UNMET NEED.
17 TO MY MIND THIS IS ADDRESSING WITH A MARGINAL
18 IMPROVEMENT AN IMPERFECTLY MET NEED. AS GIL SAID,
19 THERE ARE SEVERAL WAYS THAT HEMODIALYSIS CAN BE
20 PROVIDED TO A PERSON NOW. ONE OF THEM IS THE AV
21 FISTULA IN WHICH AN ARTERY IS CONNECTED DIRECTLY TO
22 A NATIVE VEIN, SO THE VEIN DILATES AND YOU CAN
23 INSERT A NEEDLE, A CATHETER, INTO THAT AND DIALYZE
24 THREE TIMES A WEEK.

25 YOU CAN INSERT A CATHETER, A SYNTHETIC

BARRISTERS' REPORTING SERVICE

1 CATHETER, INTO A LARGE VEIN SUCH AS THE SUBCLAVIAN
2 VEIN AND USE THAT TEMPORARILY. IN FACT, THAT'S
3 COMMONLY DONE WHILE PEOPLE ARE HAVING A FISTULA
4 MATURE AFTER THEIR SURGERY. THERE ARE SYNTHETIC
5 GRAFTS. I BELIEVE GORTEX IS THE MATERIAL THAT'S
6 USED. AND THOSE ARE PUT INTO CREATE FISTULAS WHICH
7 CAN THEN BE ACCESSED ROUTINELY FOR DIALYSIS.

8 SO THERE ARE VARIOUS WAYS, AND IT'S RARE
9 IN MY EXPERIENCE AS A CLINICIAN, I'D HAVE TO SAY I
10 HAVE NOT SEEN IT, FOR A PATIENT TO BE UNABLE TO BE
11 DIALYZED. EACH ONE OF THESE APPROACHES HAVE THEIR
12 ADVANTAGES AND DISADVANTAGES, AND THERE'S MORE OR
13 LESS RISK OF INFECTION AND VARIOUS COMPLICATIONS,
14 AND THERE'S MORBIDITY AND MORTALITY ATTACHED TO ALL
15 OF THAT AS THERE IS TO KIDNEY FAILURE ITSELF, BUT
16 THIS SEEMS TO ME A VERY MARGINAL IMPROVEMENT ON AN
17 EXISTING IMPERFECTLY MET NEED BY USING AN APPROACH
18 THAT IS NOT ALL THAT REGENERATIVE. AND SO I'M GOING
19 TO VOTE, SUGGEST THEY GO ELSEWHERE.

20 DR. DULIEGE: JUST TO RESPOND TO THE
21 COMMENT THAT WAS JUST MADE, I'D LOVE TO HEAR BACK
22 FROM THE CIRM TEAM IN THE SENSE OF REGENERATIVE
23 MEDICINE, BUT I THOUGHT THAT OUR ROLE AS MEMBER OF
24 THE ICOC IS NOT SO MUCH TO CHALLENGE WHETHER THIS
25 SHOULD HAVE BEEN FILED AS AN APPLICATION FOR FUNDING

BARRISTERS' REPORTING SERVICE

1 IN THE FIRST PLACE, BUT TO REVIEW THE MERIT OF THE
2 REVIEW PROCESS AND ENSURE THAT WE ARE IN AGREEMENT
3 OR POTENTIALLY IN AGREEMENT WITH IT.

4 WHAT I'M TRYING TO SAY IS IF TRULY CIRM
5 FELT THAT THIS IS NOT WITHIN THE SCOPE OF CERTAIN
6 (INAUDIBLE) WHICH HAVE SAID THAT TO THE APPLICANTS
7 TO BEGIN WITH, BUT NOT WAIT FOR THEM TO GO THROUGH
8 THE ENTIRE APPLICATION REVIEW PROCESS TO SAY, OH,
9 AND BY THE WAY, IT'S NOT WITHIN THE SCOPE OF WHAT WE
10 WOULD LIKE TO FUND, IF I UNDERSTOOD THE PREVIOUS
11 COMMENT. SO MAYBE THE CIRM STAFF SHOULD RESPOND TO
12 THAT, WHICH IS SHOULD IT BE WITHIN THE SCOPE OF WHAT
13 WE SHOULD BE FUNDING IF WE BELIEVE THAT THE
14 APPLICATION IS (INAUDIBLE).

15 CHAIRMAN THOMAS: JEFF, RANDY WOULD LIKE
16 TO COMMENT AND RESPOND TO ANNE-MARIE'S QUESTION.

17 DR. MILLS: WITH REGARDS TO SCOPE, THE
18 SCOPE -- SO THIS APPLICATION CLEARLY FELL WITHIN THE
19 STATED SCOPE OF THE CONCEPT PLAN THAT WAS OPEN, THAT
20 IS OPEN NOW. AND THAT SCOPE WAS SOMETHING THAT WAS
21 REVIEWED AND APPROVED BY THIS BOARD. AND SO THE
22 APPROPRIATE VENUE TO DISCUSS AND MODIFY SCOPE IS
23 AROUND MODIFYING THE CONCEPT PLAN REALLY. IF THAT'S
24 SOMETHING WE WANT TO TAKE UP, WE SHOULD DO, BUT
25 RIGHT NOW AND FOR A LONG TIME THE SCOPE IS SUCH THAT

BARRISTERS' REPORTING SERVICE

1 WE ALLOW THINGS THAT USE STEM CELLS IN THE
2 MANUFACTURING OF THE PRODUCT OR THE STEM CELL ITSELF
3 OR ACTS UPON A STEM CELL WHEN PLACED IN. SO IF
4 THAT'S SOMETHING WE WANT TO ADDRESS, WE SHOULD DO AT
5 THE RIGHT TIME. BUT I JUST WANT TO MAKE CLEAR THAT
6 THIS BOARD REVIEWED, THE ENTIRE BOARD, REVIEWED AND
7 APPROVED THE CONCEPT PLAN WHICH INCLUDED THE SCOPE
8 PREVIOUSLY.

9 DR. JUELSGAARD: SO THIS IS STEVE
10 JUELSGAARD. CAN I JUST RESPOND TO BOTH WHAT
11 ANNE-MARIE AND RANDY JUST SAID? SO I'M GOING
12 TO -- THERE ARE THREE THINGS THAT ARE IMPORTANT TO
13 ME. I'M GOING TO AGREE WITH FRANCISCO. I'M GOING
14 TO VOTE AGAINST IT JUST SO YOU KNOW UP FRONT.

15 SO THE FIRST THING IS WE'RE TALKING ABOUT
16 \$10 MILLION HERE. AND WE'RE GETTING DOWN TO THE
17 LAST DOLLARS AS TIME ROLLS ON. AND I THINK IT'S
18 CRITICAL THAT WE REALLY TAKE A LOOK AT WHAT WE'RE
19 FUNDING AND BELIEVE THAT THIS IS IMPORTANT FOR US TO
20 FUND AND THERE TRULY IS, AS PROP 71 SAID, NO OTHER
21 AVENUE OF FUNDING, WHICH WAS ONE OF THE THINGS THAT
22 WAS SPECIFIED AT THE BEGINNING. I'M A LITTLE
23 WORRIED THAT THEY PROBABLY ARE HERE, BUT WE HAPPEN
24 TO BE A WATERING TROUGH TO SOLVE THAT.

25 THE SECOND THING IS THE THINGS THAT

BARRISTERS' REPORTING SERVICE

1 FRANCISCO SAID. ANNE-MARIE, THE THINGS THAT THIS
2 COMMITTEE IS SUPPOSED TO ENGAGE IN, AT LEAST MY
3 UNDERSTANDING, IS PROGRAMMATIC REVIEW WITHOUT
4 INVOLVING SCIENTIFIC REVIEW. AND WE'RE NOT TALKING
5 ABOUT THAT. WE'RE TALKING ABOUT WHETHER THIS REALLY
6 FITS WITH OUR PROGRAM. AND I BELIEVE THAT'S REALLY
7 OUR RESPONSIBILITY, AND IT'S WHOLLY UNCLEAR TO ME
8 HOW WELL THIS REALLY FITS WITH OUR PROGRAM.

9 IT'S NICE TO SAY THAT THIS IS PART OF THE
10 CONCEPT, BUT THIS IS WHERE THE RUBBER MEETS THE
11 ROAD. THIS IS OUR JOB. WE NEED TO FIGURE OUT
12 WHETHER WE BELIEVE THIS IS WORTH FUNDING OR NOT.
13 FORGET ABOUT THE CONCEPT. THIS IS -- WE'RE THE ONES
14 WHO DECIDE HOW THE MONEY GETS SPENT, AND WE NEED TO
15 BE COMFORTABLE THAT WE'RE MAKING A GOOD DECISION.

16 AND THE THIRD THING IS I'M SORRY THAT
17 SOMEBODY CAME BACK FOUR TIMES TO COME UP WITH A
18 PERFECT APPLICATION, BUT THAT DOESN'T CHANGE MY
19 POINT OF VIEW. I DON'T WANT TO DO THIS BECAUSE I
20 FEEL SORRY FOR SOMEBODY. I WANT TO GIVE THEM MONEY
21 BECAUSE I FEEL THAT THEY DESERVE IT BECAUSE THEY'RE
22 DOING WHAT IT IS THAT WE'RE COMMISSIONED TO HAVE
23 THEM DO, AND THAT IS TO ADVANCE THE FIELD OF
24 REGENERATIVE MEDICINE, WHICH IS NOT AT ALL CLEAR TO
25 ME HERE.

BARRISTERS' REPORTING SERVICE

1 SO FOR THOSE REASONS I WILL VOTE AGAINST
2 THIS, AND I WILL KEEP MY MOUTH SHUT FROM HERE ON.

3 DR. MILLS: STEVE, I CAN APPRECIATE THAT,
4 AND I WOULDN'T SUGGEST NOT VOTING WHAT YOU THINK IS
5 RIGHT. THE ONLY THING -- THE POINT I WAS TRYING TO
6 MAKE AND PROBABLY DIDN'T ARTICULATE VERY WELL IS
7 THAT IF WE DON'T LIKE THE CONCEPT, WE DON'T LIKE THE
8 SCOPE OF THE CURRENT CONCEPT PLAN, IT'S VERY, VERY
9 IMPORTANT THAT WE BRING THAT BACK UP AND WE AMEND IT
10 TO THE WAY WE WANT IT TO BE BECAUSE JUST
11 OPERATIONALLY IT'S A VERY DIFFICULT THING FOR US AS
12 AN ORGANIZATION TO GO OUT AND TRY TO RECRUIT
13 PROMISING PROGRAMS SAYING THIS IS THE SCOPE -- THIS
14 IS THE SCOPE OF THE THING THAT CIRM WANTS AND THEN
15 LATER SAY, WE KNOW WE SAID THAT'S WHAT WE WANTED,
16 BUT IT'S NOT WHAT WE WANTED.

17 SO I GUESS WHAT I'M SAYING IS THIS EASILY
18 FALLS WITHIN THE FOUR CORNERS OF THE CURRENT STATED
19 SCOPE. AND IF THAT'S NOT WHAT WE WANT, I WOULD JUST
20 ASK THAT WE JUST ADDRESS IT SO WE HAVE MORE CLEAR
21 DIRECTION ON WHAT IT IS WE DO WANT.

22 CHAIRMAN THOMAS: STEVE, THIS IS J.T. A
23 COUPLE POINTS. NO. 1, HAVING SAT THROUGH THE GRANTS
24 WORKING GROUP WITH RESPECT TO THIS PROPOSAL OR ANY
25 PROPOSAL, EVEN THOUGH IT WAS IDENTIFIED GOING IN AS

BARRISTERS' REPORTING SERVICE

1 BEING WITHIN SCOPE, IT'S FREQUENTLY THE CASE AT THE
2 GRANTS WORKING GROUP THAT IF MEMBERS OF THAT GROUP
3 FEEL THAT FOR SOME REASON IT IS TOO MARGINAL OR
4 DOESN'T HAVE A SUFFICIENT REGENERATIVE ELEMENT,
5 THEY'LL BRING THAT UP AND THERE WILL BE A ROBUST
6 DISCUSSION ON THE TOPIC.

7 AT THIS PARTICULAR REVIEW, AT THE END OF
8 THE DAY, THAT ASPECT OF THIS WAS NOT SOMETHING THAT
9 CAUSED THE VOTING MEMBERS OF THE GRANTS WORKING
10 GROUP TO OPPOSE THIS. IN FACT, THEY DECIDED TO GO
11 AHEAD WITH A FAIRLY LARGE MAJORITY APPROVE IT AS A
12 FUNDABLE PROJECT. SO THAT'S THE FIRST POINT.

13 THE SECOND POINT IS WITH RESPECT TO
14 ALTERNATIVE SOURCES OF FUNDING, WE ARE ABOUT TRYING
15 TO HELP LEVERAGE OUR MONEY AGAINST OTHER SOURCES
16 AND, IN FACT, HOPE THAT WHEN WE DO FUND SOMETHING,
17 INDEED, THERE ARE OTHER SOURCES OF MONEY THAT WILL
18 COME IN ON TOP OF OUR FUNDING TO FURTHER WHATEVER
19 THE PROJECT AT ISSUE MAY HAPPEN TO BE. SO THE FACT
20 THAT THERE MAY BE OTHER SOURCES AVAILABLE, TO ME AT
21 LEAST, IF THIS IS SOMETHING THAT DOES COME WITHIN
22 OUR SCOPE AND WAS RECOMMENDED BY THE BOARD -- I'M
23 SORRY -- BY THE GRANTS WORKING GROUP AND WAS NOT
24 SEEN TO BE SO MARGINAL AS TO PUT IT OUT OF
25 CONTENTION, I'M OKAY WITH THAT.

BARRISTERS' REPORTING SERVICE

1 DR. PRIETO: FRANCISCO AGAIN. I JUST
2 WOULD LIKE TO SAY THAT, AGAIN, I VOTED TO BRING THIS
3 TO THE ICOC. ALTHOUGH I MENTIONED OTHER POINTS, IT
4 WAS BECAUSE I THOUGHT THAT THIS WAS A VERY
5 SCIENTIFICALLY CAPABLE GROUP, BUT I THOUGHT THAT MY
6 PRINCIPAL OBJECTIONS WERE PROGRAMMATIC. AND THAT
7 WAS SOMETHING -- THAT'S SOMETHING THAT HAS TO BE
8 DECIDED BY THE BOARD, NOT AT THE GWG. SO HERE WE
9 ARE.

10 MR. SHEEHY: YEAH. AND, FRANCISCO, COULD
11 YOU JUST RESTATE? I THINK YOU HAD TWO POINTS THAT
12 YOU WERE MAKING PROGRAMMATICALLY.

13 DR. PRIETO: WELL, THE MAIN CONCERN IN MY
14 MIND WAS THAT THIS IS NOT SO MUCH AN UNMET NEED AS
15 AN IMPERFECTLY MET NEED, AND THAT I THOUGHT THIS
16 WILL BE A MARGINAL IMPROVEMENT, BUT IT'S NOT A
17 DISEASE OR CONDITION THAT IS CURRENTLY UNTREATED OR
18 FOR WHICH THERE IS NO ALTERNATIVE OPTION, AS WOULD
19 BE THE CASE IF WE WERE DEALING WITH -- WELL, I DON'T
20 KNOW IF I WANT TO BRING IN OTHER DISEASES. BUT IT
21 DIDN'T SEEM TO ME TO BE AN UNMET NEED. I THINK
22 THAT'S MY PRIMARY CONCERN.

23 MR. SHEEHY: THANK YOU. SO GREAT
24 DISCUSSION. DO WE HAVE MORE QUESTIONS OR COMMENTS
25 FROM MEMBERS OF THE COMMITTEE? DO WE HAVE ANY

BARRISTERS' REPORTING SERVICE

1 PUBLIC COMMENT AT ANY OF THE SITES?

2 DR. SAMBRANO: WE DO IN OAKLAND.

3 DR. BOTKIN: CAN YOU HEAR ME ON THE PHONE?

4 THIS IS JEFF BOTKIN. I'M ACTUALLY THE CHIEF MEDICAL
5 OFFICER OF HUMACYTE AND A PROFESSOR OF SURGERY AND
6 PATHOLOGY AT DUKE UNIVERSITY, AND I FLEW OUT HERE
7 JUST TO BE PRESENT TO PARTICIPATE IN THIS
8 DISCUSSION. AND I APPRECIATE ALL THE COMMENTS.

9 FIRST, IN THE REGENERATIVE MEDICINE
10 QUESTION, WE ARE THE FIRST TISSUE-ENGINEERED
11 STRUCTURE, IN THIS CASE A TISSUE-ENGINEERED BLOOD
12 VESSEL, TO ENTER PHASE III CLINICAL TESTING IN HUMAN
13 IMPLANTS. WE THINK WE'RE THE VERY SIMPLEST ORGAN IN
14 THIS REGENERATIVE MEDICINE SPACE OUT OF A BLOOD
15 VESSEL, BUT IT'S A SIMPLE ORGAN, BUT IT MEETS ALL OF
16 THE CRITERIA FOR MAKING MORE COMPLEX ORGANS.

17 IN THIS CASE WE MAKE A STRUCTURE FROM
18 CELLS MADE INITIALLY FROM HUMAN AORTIC VASCULAR
19 SMOOTH MUSCLE CELLS AND WE CREATE THIS TUBE. IN
20 THIS CASE IT'S 40 CENTIMETERS LONG, 6 MILLIMETERS IN
21 DIAMETER, AND THEN IS DECELLULARIZED TO REMOVE ALL
22 OF THE ANTIGENS FROM THE ORIGINAL DONOR SO WE CAN
23 IMPLANT IT INTO ANYONE. BUT TO MAKE IT A LIVING
24 TISSUE, IT'S REQUIRED TO BE REPOPULATED WITH STEM
25 CELLS. IN THIS CASE A MYELOID PROGENITOR CELL GOES

BARRISTERS' REPORTING SERVICE

1 INTO WHAT IS THE FUNCTIONAL MEDIA OF THE VESSEL AND
2 REPOPULATES IT WITH SOMETHING THAT HISTOLOGICALLY
3 LOOKS LIKE THE VASCULAR SMOOTH MUSCLE CELL. AND
4 ENDOTHELIAL PROGENITOR CELLS LAND ON THE SURFACE OF
5 THIS AND RELINE IT AND COMPLETELY RE-ENDOTHELIALIZE
6 THE TUBE SO THAT THE STRUCTURE THAT WE'VE IMPLANTED
7 IS HISTOLOGICALLY IDENTICAL TO A BLOOD VESSEL FROM
8 THE HOST.

9 AND WE BELIEVE THAT THAT'S THE FUNDAMENTAL
10 PLATFORM THAT OTHER REPOPULATION OF MORE COMPLEX
11 ORGANS WILL BE DERIVED FROM. SO THIS IS VERY MUCH
12 IN THE WHEELHOUSE OF REGENERATIVE MEDICINE. WE JUST
13 PROVIDE A STRUCTURE THAT THE HOST REPOPULATES AND
14 REMODELS. WE HAVE A SIGNIFICANT AMOUNT OF SCIENCE
15 WE HAVE GOING FORWARD.

16 WITH RESPECT TO THE UNMET CLINICAL NEED,
17 WE'RE MAKING A BLOOD VESSEL. THE BLOOD VESSEL'S
18 ENTRY POINTS IN THIS CASE BY THE FDA IS IN DIALYSIS
19 ACCESS. THAT'S BECAUSE IT'S THE SAFEST PLACE TO
20 OBSERVE THE BLOOD VESSEL. WE HAVE EVERY INTENTION
21 AND, IN FACT, CLINICAL DEVELOPMENT PROGRAMS, PHASE
22 II PROGRAMS, ALREADY FOR LOWER EXTREMITY ARTERIAL
23 RECONSTRUCTION, AND WE'VE ALREADY DONE PRECLINICAL
24 WORK IN THE OTHER BLOOD VESSEL SPACES OF CORONARY
25 ARTERY BYPASS SURGERY.

BARRISTERS' REPORTING SERVICE

1 THE VASCULAR ACCESS SPACE, JUST TO RESPOND
2 TO THAT, IS A VERY COMPLEX CLINICAL AREA. AND AS
3 NOTED, THERE ARE OTHER DEVICE OR TECHNOLOGIES
4 AVAILABLE, BUT LET'S TALK ABOUT EACH ONE FOR ONE
5 SECOND.

6 DIALYSIS CATHETERS, WHICH CAN BE PLACED IN
7 PEOPLE EASILY, HAVE AN INFECTION RATE OF ABOUT EVERY
8 THREE TO SIX MONTHS THEY FAIL AND HAVE TO BE
9 REPLACED, WHICH ARE BOTH EXPENSIVE AND CAUSE THINGS
10 LIKE SEPTICEMIA. VASCULAR SYNTHETIC GRAFTS MADE OF
11 TEFLON OR GORTEX FAIL PREDICTABLY ABOUT ONCE A YEAR,
12 WHICH, AGAIN, CAUSES A SIGNIFICANT AMOUNT OF
13 MORBIDITY AND HEALTHCARE EXPENSE FOR THOSE PATIENTS.
14 AND THE PATIENTS WITH THE INTENT TO USE THEIR OWN
15 VEIN, CALLED AND AV FISTULA, HAS A 50 PERCENT
16 FAILURE OF MATURATION. SO EACH ONE OF THOSE
17 CLINICAL AREAS STILL HAS SIGNIFICANT MORBIDITY AND
18 ASSOCIATED MORTALITY.

19 WE PUT THIS CASE BEFORE THE FDA, AND THEY
20 FELT SO COMPELLED THAT THIS IS A SOLUTION THAT THEY
21 GAVE US FAST-TRACK DESIGNATION FOR THIS UNMET
22 CLINICAL NEED IN AND OF ITSELF INDEPENDENT OF ALL OF
23 THE OTHER AREAS WHICH WE WILL TOUCH AS WE GO THROUGH
24 OUR CLINICAL APPROVAL PROCESS IN VASCULAR ACCESS,
25 ARTERIAL RECONSTRUCTION, AND CORONARY ARTERY

BARRISTERS' REPORTING SERVICE

1 RECONSTRUCTION AS WE MOVE FORWARD. SO WE THINK THAT
2 THERE'S A SIGNIFICANT UNMET CLINICAL NEED IN THE
3 DIALYSIS SPACE ITSELF AND A HUGE UNMET CLINICAL NEED
4 IN VASCULAR RECONSTRUCTION FOR EVERYONE, LET IT BE
5 AN ELDERLY PATIENT WITH LOWER EXTREMITY ARTERIAL
6 DISEASE OR AN INJURED WARRIOR WHERE THERE IS NO
7 CONDUIT AVAILABLE AFTER THEY'VE HAD A BLAST INJURY
8 FROM AN IED AND THE FORWARD OPERATING FACILITIES
9 HAVE NO OFF-THE-SHELF VASCULAR CONDUIT AVAILABLE TO
10 RECONSTRUCT THEIR LEGS.

11 SO WITH THAT, I WILL STOP AND HOPEFULLY
12 ANSWER THOSE QUESTIONS.

13 MR. SHEEHY: THANK YOU, DR. BOTKIN. DO WE
14 HAVE ANY OTHER PUBLIC COMMENT?

15 DR. JUELSGAARD: JEFF, THIS IS NOT THE
16 PUBLIC, THIS IS STEVE. BUT LET ME JUST ASK DR.
17 BOTKIN FOR A MOMENT. SO YOU SAY YOU HAVE FAST-TRACK
18 DESIGNATION BY THE FDA. SO IS THIS A CASE THAT
19 YOU'RE SIMPLY ONLY GOING TO BE HELD TO ONE PHASE III
20 CLINICAL TRIAL FOR APPROVAL WITH A FOLLOW-UP PHASE
21 III CLINICAL TRIAL, OR WHAT EXACTLY DO YOU NEED?

22 DR. BOTKIN: CURRENTLY BY OUR SPA
23 APPROVAL, SO WE HAVE A FAST-TRACK DESIGNATION AND A
24 SPECIAL PROTOCOL AGREEMENT WITH THE FDA, WE ONLY ARE
25 REQUIRED TO HAVE ONE PIVOTAL CLINICAL TRIAL FOR

BARRISTERS' REPORTING SERVICE

1 VASCULAR ACCESS APPROVAL. WE WILL BE REQUIRED TO
2 HAVE AN ADDITIONAL PIVOTAL CLINICAL TRIAL FOR LOWER
3 EXTREMITY ARTERIAL RECONSTRUCTION; BUT FOR DIALYSIS
4 ACCESS, WE'RE CURRENTLY ONLY REQUIRED TO HAVE ONE
5 FOR APPROVAL.

6 DR. JUELSGAARD: AND SO THERE IS NO
7 POSTMARKETING, THEN, FOLLOW-UP ON THIS FIRST PHASE
8 III CLINICAL TRIAL THAT'S GOING TO BE REQUIRED BY
9 THE FDA BECAUSE NORMALLY, AT LEAST IN MY EXPERIENCE,
10 YOU CAN SUBMIT ON ONE PHASE III CLINICAL TRIAL, BUT
11 THEN THEY WANT TO SEE A FOLLOW-UP TO VALIDATE THAT,
12 INDEED, WHAT YOU SAW ON THE FIRST PHASE III IF
13 SUCCESSFUL IN A SECOND SIMILAR TRIAL.

14 DR. BOTKIN: THAT IS CURRENTLY NOT THE
15 CASE WITH OUR DISCUSSION WITH THE FDA AND OUR
16 APPROVED SPA.

17 DR. JUELSGAARD: ALL RIGHT. THANK YOU.

18 MR. SHEEHY: ADDITIONAL QUESTIONS OR
19 COMMENTS? OKAY. I THINK NO PUBLIC COMMENTS, NO
20 ADDITIONAL COMMENTS FROM THE BOARD, THEN I THINK
21 WE'RE READY TO CALL THE ROLL. MARIA, PLEASE.

22 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

23 DR. DULIEGE: YES.

24 MS. BONNEVILLE: DAVID HIGGINS.

25 DR. HIGGINS: YES.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: STEVE JUELSGAARD.
2 DR. JUELSGAARD: I'LL CHANGE MY VOTE TO A
3 YES.
4 MS. BONNEVILLE: LAUREN MILLER.
5 MS. MILLER: YES.
6 MS. BONNEVILLE: ADRIANA PADILLA.
7 DR. PADILLA: YES.
8 MS. BONNEVILLE: JOE PANETTA.
9 MR. PANETTA: YES.
10 MS. BONNEVILLE: FRANCISCO PRIETO. ROBERT
11 QUINT.
12 DR. QUINT: NO.
13 MS. BONNEVILLE: AL ROWLETT.
14 MR. ROWLETT: YES.
15 MS. BONNEVILLE: JEFF SHEEHY.
16 MR. SHEEHY: YES.
17 MS. BONNEVILLE: JONATHAN THOMAS.
18 CHAIRMAN THOMAS: YES.
19 MS. BONNEVILLE: ART TORRES.
20 MR. TORRES: AYE.
21 MS. BONNEVILLE: DIANE WINOKUR.
22 MS. WINOKUR: YES.
23 DR. STEWARD: I'M HERE AND I'M A YES.
24 THIS IS OS.
25 MR. HARRISON: OS, YOU'RE CONFLICTED ON

BARRISTERS' REPORTING SERVICE

1 THIS ONE.

2 DR. STEWARD: OKAY. SORRY ABOUT THAT.

3 I'M HERE THEN AND I DO NOT VOTE.

4 MS. LAPORTE: THIS IS KATHY AND I'M A YES
5 AS WELL.

6 MS. BONNEVILLE: KATHY, YOU'RE ALSO
7 CONFLICTED.

8 MS. LAPORTE: OH, GOOD TO KNOW.

9 MR. SHEEHY: SO THE STATUS OF THE MOTION?

10 MS. BONNEVILLE: MOTION CARRIES.

11 MR. SHEEHY: GREAT. THANK YOU. AND THANK
12 YOU, DR. BOTKIN. CONGRATULATIONS.

13 OKAY. NEXT, I THINK, ON THE AGENDA IS THE
14 QUEST AWARDS, AND SO WILL YOU TAKE US THROUGH THIS,
15 DR. SAMBRANO?

16 DR. SAMBRANO: YES, I WILL. SO THERE IS A
17 SECOND SET OF SLIDES FOR THE QUEST PROGRAM AND THE
18 CHALLENGE PROGRAMS, AND WE'LL GO THROUGH THE QUEST
19 PROGRAM FIRST.

20 SO I HAVE A SLIDE THAT SHOWS WHERE OUR
21 DISCOVERY PROGRAM FITS ALONG THE DEVELOPMENT
22 PIPELINE. AND SO THIS OBVIOUSLY FALLS INTO THE
23 DISCOVERY INITIATIVES THAT WE OFFER TWO TIMES A YEAR
24 IN ORDER TO PROMOTE PRODUCTS THAT WILL GO INTO THE
25 TRANSLATIONAL PROGRAMS DOWN THE LINE.

BARRISTERS' REPORTING SERVICE

1 THE OBJECTIVE OF THE QUEST PROGRAM IS TO
2 PROMOTE THE DISCOVERY OF PROMISING NEW STEM
3 CELL-BASED TECHNOLOGIES THAT COULD BE TRANSLATED TO
4 ENABLE THEIR BROAD USE AND ULTIMATELY TO IMPROVE
5 PATIENT CARE. SO PROJECTS THAT WOULD BE CONSIDERED
6 UNDER THIS PROGRAM INCLUDE THOSE THAT ARE UNIQUELY
7 ENABLED BY HUMAN STEM/PROGENITOR CELLS OR DIRECTLY
8 REPROGRAMMED CELLS OR THAT ARE UNIQUELY ENABLING FOR
9 THE ADVANCEMENT OF STEM CELL-BASED THERAPIES.

10 SOME OF THE KEY POINTS IN INSTRUCTING
11 REVIEWERS IN TERMS OF WHAT WE WERE LOOKING FOR, WE
12 LOOKED FOR PROJECTS THAT HAVE THE CAPABILITY TO
13 DEVELOP A NOVEL CANDIDATE PRODUCT. SO IT CAN BE ANY
14 OF THE FOLLOWING: A THERAPEUTIC, A DIAGNOSTIC, A
15 MEDICAL DEVICE, OR A TOOL THAT WOULD BE READY FOR
16 TRANSLATIONAL STUDIES WITHIN TWO YEARS.

17 THE PRODUCT TYPE, OF COURSE, BECAUSE OF
18 THEIR NATURE, DETERMINE THE SPECIFIC OUTCOMES THAT
19 ARE EXPECTED AND, THEREFORE, THE READINESS FOR THOSE
20 TRANSLATIONAL STUDIES. SO THAT IS PART OF THE
21 CONSIDERATION OF THE GROUP.

22 AND IF SUCCESSFULLY REALIZED THAT THE
23 CANDIDATE OFFERS THE POTENTIAL TO IMPROVE PATIENT
24 CARE OR THAT IT FACILITATES THE DISCOVERY,
25 DEVELOPMENT, OR USE OF STEM CELL-BASED THERAPIES.

BARRISTERS' REPORTING SERVICE

1 THE REVIEW CRITERIA ARE THE FOUR THAT WE
2 NORMALLY USE ACROSS OUR INITIATIVES. DOES THE
3 PROJECT HOLD THE NECESSARY SIGNIFICANCE AND
4 POTENTIAL FOR IMPACT? IN THIS CASE IS THIS A
5 PROJECT THAT IS LIKELY WITHIN A TWO-YEAR TIME SPAN
6 TO DELIVER A PRODUCT THAT IS READY FOR TRANSLATIONAL
7 WORK AND ONE THAT IS GOING TO ADVANCE STEM
8 CELL-BASED THERAPIES IN SOME WAY?

9 IS THE RATIONALE SOUND, MEANING DOES THIS
10 MAKE SENSE?

11 IS THE PROJECT WELL-PLANNED AND DESIGNED?
12 AND IS IT FEASIBLE; THAT IS, IS IT
13 SOMETHING THAT THE GROUP CAN DO AND ACCOMPLISH?

14 OKAY. SO I WILL GO INTO THE
15 RECOMMENDATIONS FROM THE GRANTS WORKING GROUP, BUT I
16 WILL REMIND YOU HERE THAT THE SCORING SYSTEM IS
17 DIFFERENT FOR OUR DISCOVERY TRANSLATION PROGRAMS.
18 SO FOR QUEST, REVIEWERS USE A SCORE OF 1 TO 100 WITH
19 100 BEING THE BEST POSSIBLE SCORE IN WHICH THEY CAN
20 PLACE THE APPLICATION. SO A SCORE OF 85 TO 100
21 MEANS THAT THEY RECOMMEND FUNDING IF FUNDS ARE
22 AVAILABLE. AND IF THEY SCORE IT 1 THROUGH 84, IT
23 MEANS THAT THEY ARE NOT RECOMMENDING FUNDING. AND
24 APPLICATIONS ARE SCORED BY ALL THE SCIENTIFIC
25 MEMBERS OF THE GWG THAT DO NOT HAVE A CONFLICT.

BARRISTERS' REPORTING SERVICE

1 SO IN THIS NEXT SLIDE I'M SHOWING A TABLE
2 OF THE 43 APPLICATIONS THAT WERE REVIEWED BY THE
3 GWG. FOLLOWING THE REVIEW, THERE WERE FIVE THAT
4 SCORED IN THE RECOMMENDED FOR FUNDING RANGE, AND
5 THERE WERE 38 THAT FELL INTO THE NOT RECOMMENDED FOR
6 FUNDING. AS ALWAYS, AT THE END OF THE REVIEW, WE
7 HAVE THE GRANTS WORKING GROUP TAKE A VOTE ON THE
8 REVIEW PROCESS OVERALL. IN PARTICULAR, TO NOTE THAT
9 THE ICOC PATIENT ADVOCATE MEMBERS TAKE A VOTE ON
10 WHETHER THE REVIEW WAS CARRIED OUT IN A FAIR MANNER
11 AND WAS FREE FROM UNDUE BIAS. SO THESE VOTES -- THE
12 MEMBERS VOTED UNANIMOUSLY IN FAVOR OF THE FAIR
13 PROCESS.

14 SO I'LL TAKE YOU, THEN, TO THE LAST SLIDE
15 FOR THE QUEST PROGRAM WHICH PRESENTS CIRM TEAM
16 RECOMMENDATIONS, AND YOU WILL NOTICE THAT WE ARE
17 RECOMMENDING A TOTAL OF SEVEN APPLICATIONS FOR
18 FUNDING, WHICH INCLUDES THE FIVE THAT ARE
19 RECOMMENDED BY THE GWG BASED ON THE SCORE AS WELL AS
20 TWO ADDITIONAL ONES, WHICH IF YOU LOOK AT THE TABLE
21 BELOW, INCLUDE APPLICATIONS 9073 AND 8982. THEY
22 RECEIVED A SCORE OF 83 AND 81 RESPECTIVELY. THEIR
23 MEDIAN IN BOTH CASES WAS AN 85, AND ALSO IN BOTH
24 CASES, IF YOU LOOK AT THE NUMBER OF MEMBERS SCORING
25 WITHIN EACH CATEGORY, OVER TWO-THIRDS OF THE MEMBERS

BARRISTERS' REPORTING SERVICE

1 SCORED THESE APPLICATIONS IN THE RECOMMENDED FOR
2 FUNDING CATEGORY, TEN AS OPPOSED TO FOUR.

3 SO WE FELT THAT IN THIS CASE THE WAY THE
4 SCORING SYSTEM WORKS THAT UTILIZES THE MEAN DID NOT
5 REALLY REFLECT THE VOTE OR THE INTENT OF THE GRANTS
6 WORKING GROUP IF YOU LOOK AT HOW THEY SCORE, THE TEN
7 TO FOUR VOTE PLACING IT IN THE FUNDABLE CATEGORY.

8 SO THAT IF SEVEN APPLICATIONS WERE TO BE
9 FUNDED, THAT WOULD TAKE US TO 13.6 MILLION TOTAL FOR
10 THIS PROGRAM. SO HAPPY TO TAKE ANY QUESTIONS.

11 MR. SHEEHY: ONE QUICK QUESTION, GIL.
12 WHAT'S THE BUDGET FOR THIS ROUND?

13 DR. SAMBRANO: SO PAT TELLS ME 17.5 IS
14 WHAT WE HAVE AVAILABLE FOR THIS ROUND.

15 MR. SHEEHY: GREAT. GREAT. SO I THINK
16 MAYBE THE BEST WAY TO PROCEED IS THE WAY WE USUALLY
17 DO THIS, WHICH IS TO SEE IF THERE ARE ANY, BECAUSE
18 THERE'S SO MANY APPLICATIONS, IS TO SEE IF THERE'S A
19 MOTION TO MOVE ANY APPLICATION OUT OF TIER I. AND
20 THEN I THINK EACH OF THE CIRM TEAM RECOMMENDATIONS
21 IN TIER II AND SEE IF THERE'S A DESIRE TO ACCEPT
22 THOSE RECOMMENDATIONS. AND THEN WE'LL LOOK AT THE
23 REMAINDER OF TIER II -- WELL, TIER III. WE ONLY
24 HAVE TWO TIERS, I AND III, OR THE REST OF TIER II
25 AND SEE IF WE CAN MOVE THAT UP OR NOT FUND THOSE.

BARRISTERS' REPORTING SERVICE

1 SO THE FIRST MOTION, IS THERE A MOTION TO
2 MOVE ANY APPLICATION OUT OF TIER I? OKAY. THEN
3 LET'S TAKE UP 09073. IS THERE A MOTION TO ACCEPT
4 THE CIRM TEAM RECOMMENDATION AND MOVE THAT INTO TIER
5 I?

6 DR. HIGGINS: SO MOVED.

7 DR. JUELSGAARD: I SO MOVE.

8 MR. SHEEHY: LOOKS LIKE WE'VE GOT TWO,
9 DAVID HIGGINS, AND WHO IS THE OTHER?

10 DR. JUELSGAARD: THIS IS STEVE, BUT I'LL
11 SECOND.

12 MR. SHEEHY: OKAY. THEN THAT'S A SECOND.

13 DO WE HAVE ANY DISCUSSION OF THIS? ANY
14 COMMENTS, QUESTIONS? DO WE HAVE ANY PUBLIC COMMENT
15 ON THIS? MARIA, DO YOU WANT TO CALL THE ROLL THEN.

16 MS. BONNEVILLE: JEFF, THERE'S PUBLIC
17 COMMENT HERE IN SAN DIEGO.

18 MR. SHEEHY: OKAY. GREAT. GREAT.

19 MS. RAUB: HI, EVERYBODY AND EVERYONE
20 HERE. MY NAME IS JENIFER RAUB. I'M PRESIDENT OF
21 THE SUMMIT4STEMCELL FOUNDATION, A PATIENT ADVOCATE
22 FOR PARKINSON'S DISEASE, AND AN AMBASSADOR FOR
23 AMERICANS FOR CURES. I'VE HAD PARKINSON'S FOR OVER
24 TEN YEARS, AND I FEEL IT EVERY SINGLE DAY, BUT I'D
25 LIKE TO THANK SUPERVISOR DAVE ROBERTS FOR HIS

BARRISTERS' REPORTING SERVICE

1 SUPPORT, CIRM, THE ICOC, AND THE STAFF FOR THEIR
2 TIME AND EFFORTS. I'D ALSO LIKE TO THANK EVERYONE
3 IN THIS ROOM FOR BEING HERE TODAY IN SUPPORT.

4 ON BEHALF OF SUMMIT4STEMCELL AND THE
5 PARKINSON'S'S COMMUNITY, I URGE THE ICOC TO PLEASE
6 VOTE TO FUND THIS AUTOLOGOUS CELL THERAPY RESEARCH
7 FOR PARKINSON'S. I RECEIVE CALLS FROM ALL OVER THE
8 COUNTY, THE STATE, THE NATION, AND INTERNATIONALLY
9 FROM PEOPLE DESPERATE FOR SOMETHING OTHER THAN THE
10 MEDICATIONS THAT A LOT OF US KNOW THAT JUST STOP
11 WORKING. THEY WANT A STEM CELL-BASED THERAPY. THEY
12 WANT A LEGITIMATE STEM CELL THERAPY.

13 WHEN I EXPLAIN THE RESEARCH ABOUT USING
14 THEIR SKIN CELLS TO CREATE DOPAMINE-PRODUCING
15 NEURONS, MOST OFTEN THEY GO SILENT, A LOT OF TIMES
16 THEY CRY, AND THEN THEY THANK ME FOR GIVING THEM
17 SOMETHING TO HANG ONTO, FOR GIVING THEM HOPE. THIS
18 RESEARCH PROVIDES MORE THAN HOPE. THIS RESEARCH
19 COULD PROTECT PEOPLE FROM (INAUDIBLE), POTENTIALLY
20 PROTECT OVER 7 MILLION LIVES, AND YOUR VOTE TODAY
21 COULD MAKE THIS PARKINSON'S PROJECT ONE OF THE
22 BIGGEST JEWELS IN CIRM'S CROWN.

23 I'D ALSO LIKE TO ASK EVERYONE HERE IN
24 SUPPORT OF SUMMIT, DR. LORING, DR. HOUSER, AND
25 DR. BRATT-LEAL TO PLEASE STAND. SEE HOW MANY WE

BARRISTERS' REPORTING SERVICE

1 HAVE. WOW. WHAT DO YOU THINK? 50? 40? I THINK
2 WE'RE CLOSE TO 50 STANDING RIGHT NOW. THANK YOU.

3 PEOPLE'S LIVES ARE THE REAL ISSUE HERE.
4 EVERY PERSON IN THIS ROOM IS SOMEHOW AFFECTED BY
5 PARKINSON'S DISEASE. PARKINSON'S DOES NOT WAIT FOR
6 DEBATE, DISCUSSION, OR A VOTE. PARKINSON'S SIMPLY
7 KEEPS CREEPING FORWARD. THESE PEOPLE ARE FADING
8 AWAY. THESE PEOPLE DESPERATELY WANT TO BE WELL, TO
9 END THE UGLINESS OF PARKINSON'S, TO MOVE WITHOUT
10 PAIN, STIFFNESS, OR TREMORS, AND TO BE ABLE TO
11 CONTROL THEIR MOVEMENTS. THEY WANT TO MOVE.

12 DR. LORING, DR. HOUSER, AND DR. BRATT-LEAL
13 CAN DO ALL OF THAT. I IMPLORE YOU, WE ALL IMPLORE
14 YOU TO PLEASE FUND THIS. LET'S COLLABORATE AND
15 PROVIDE A SAFE, EFFICACIOUS, AND LEGITIMATE
16 AUTOLOGOUS-BASED CELL THERAPY FOR ALL PATIENTS WITH
17 PARKINSON'S DISEASE BEGINNING TODAY. THANK YOU.

18 (APPLAUSE.)

19 MR. SHEEHY: THANK YOU, MS. RAUB. AND
20 THANK YOU TO EVERYBODY IN ATTENDANCE IN SAN DIEGO.
21 IT'S REALLY FABULOUS TO HAVE EVERYBODY TAKE AN
22 INTEREST IN WHAT CIRM IS DOING.

23 ARE THERE MORE PUBLIC COMMENTS? IS THERE
24 MORE PUBLIC COMMENT?

25 MS. BONNEVILLE: NOT HERE, JEFF.

BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: SHOULD WE CALL THE ROLL THEN?
2 MS. BONNEVILLE: ANNE-MARIE DULIEGE.
3 DR. DULIEGE: YES.
4 MS. BONNEVILLE: DAVID HIGGINS.
5 DR. HIGGINS: YES.
6 MS. BONNEVILLE: STEVE JUELSGAARD.
7 DR. JUELSGAARD: YES.
8 MS. BONNEVILLE: SHERRY LANSING. KATHY
9 LAPORTE.
10 MS. LAPORTE: YES.
11 MS. BONNEVILLE: LAUREN MILLER.
12 MS. MILLER: YES.
13 MS. BONNEVILLE: ADRIANA PADILLA.
14 DR. PADILLA: YES.
15 MS. BONNEVILLE: JOE PANETTA.
16 MR. PANETTA: YES.
17 MS. BONNEVILLE: FRANCISCO PRIETO.
18 DR. PRIETO: AYE.
19 MS. BONNEVILLE: ROBERT QUINT.
20 DR. QUINT: YES.
21 MS. BONNEVILLE: AL ROWLETT.
22 MR. ROWLETT: YES.
23 MS. BONNEVILLE: JEFF SHEEHY.
24 MR. SHEEHY: YES.
25 MS. BONNEVILLE: OS STEWARD.

BARRISTERS' REPORTING SERVICE

1 DR. STEWARD: YES, EXCEPT FOR THOSE WITH
2 WHICH I'M IN CONFLICT.

3 MS. BONNEVILLE: JONATHAN THOMAS.

4 CHAIRMAN THOMAS: YES.

5 MS. BONNEVILLE: ART.

6 MR. TORRES: TORRES, AYE.

7 MS. BONNEVILLE: DIANE WINOKUR.

8 MS. WINOKUR: YES.

9 MS. BONNEVILLE: MOTION CARRIES.

10 (APPLAUSE.)

11 MR. SHEEHY: SO DO I HAVE A MOTION TO
12 MOVE -- TO ACCEPT THE CIRM TEAM RECOMMENDATION AND
13 MOVE 08982 INTO THE FUNDABLE CATEGORY?

14 DR. JUELSGAARD: THIS IS STEVE JUELSGAARD.
15 SO MOVED.

16 MR. SHEEHY: DO I HAVE A SECOND?

17 MR. TORRES: SECOND.

18 MR. SHEEHY: IS THERE ANY DISCUSSION OR
19 COMMENT? IS THERE ANY PUBLIC COMMENT AT ANY OF THE
20 SITES? SO MARIA, COULD YOU CALL THE ROLL FOR THIS
21 PLEASE.

22 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

23 DR. DULIEGE: YES.

24 MS. BONNEVILLE: DAVID HIGGINS.

25 DR. HIGGINS: YES.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: STEVE JUELSGAARD.
2 DR. JUELSGAARD: YES.
3 MS. BONNEVILLE: KATHY LAPORTE.
4 MS. LAPORTE: YES.
5 MS. BONNEVILLE: LAUREN MILLER.
6 MS. MILLER: YES.
7 MS. BONNEVILLE: ADRIANA PADILLA.
8 DR. PADILLA: YES.
9 MS. BONNEVILLE: JOE PANETTA.
10 MR. PANETTA: YES.
11 MS. BONNEVILLE: FRANCISCO PRIETO.
12 DR. PRIETO: AYE.
13 MS. BONNEVILLE: ROBERT QUINT.
14 DR. QUINT: YES.
15 MS. BONNEVILLE: AL ROWLETT.
16 MR. ROWLETT: YES.
17 MS. BONNEVILLE: JEFF SHEEHY.
18 MR. SHEEHY: YES.
19 MS. BONNEVILLE: OS STEWARD.
20 DR. STEWARD: YES.
21 MS. BONNEVILLE: JONATHAN THOMAS.
22 CHAIRMAN THOMAS: YES.
23 MS. BONNEVILLE: ART TORRES.
24 MR. TORRES: AYE.
25 MS. BONNEVILLE: DIANE WINOKUR.

BARRISTERS' REPORTING SERVICE

1 MS. WINOKUR: YES.

2 MS. BONNEVILLE: MOTION CARRIES.

3 MR. SHEEHY: GREAT. SO IS THERE A MOTION
4 TO MOVE ANY OTHER PROJECT IN TIER II INTO THE
5 FUNDABLE CATEGORY, TIER I? THEN IF THERE IS NONE,
6 WE NEED AN OMNIBUS MOTION THAT WOULD FUND ALL THE
7 PROJECTS IN TIER I PLUS 09073 AND 08982 AND NOT TO
8 FUND THE REMAINDER OF THE PROJECTS LISTED IN TIER
9 II. AND THAT NEEDS TO COME, BOTH THE MOTION AND THE
10 SECOND, FROM SOMEONE WITHOUT A CONFLICT. AM I
11 CORRECT THERE, JAMES?

12 MR. HARRISON: YOU ARE ABSOLUTELY CORRECT.
13 THANKS FOR THE REMINDER, JEFF.

14 MR. TORRES: TORRES MOVES.

15 DR. PRIETO: I'LL SECOND.

16 MR. SHEEHY: SO SENATOR TORRES AND THEN
17 DR. PRIETO ARE THE MOTION MAKER AND THE SECOND.

18 AND THEN, JAMES, COULD YOU REMIND US OF
19 THE FORM WE SHOULD ANSWER IN THE EVENT THAT WE HAVE
20 A CONFLICT IN ANY OF THESE APPLICATIONS.

21 MR. HARRISON: YES. COULD YOU PLEASE VOTE
22 EITHER YES OR NO EXCEPT FOR THOSE APPLICATIONS IN
23 WHICH YOU HAVE A CONFLICT.

24 MR. SHEEHY: GREAT. SO MARIA, --

25 DR. SAMBRANO: MR. SHEEHY, WE HAVE PUBLIC

BARRISTERS' REPORTING SERVICE

1 COMMENT.

2 MR. SHEEHY: I WAS GOING TO SAY WE'RE
3 GOING TO HAVE PUBLIC COMMENT.

4 DR. SAMBRANO: WE HAVE PUBLIC COMMENT IN
5 OAKLAND.

6 DR. SCHUELE: DEAR DISTINGUISHED ICOC
7 MEMBERS, I'M VERY EXCITED TO BE HERE TODAY AND THANK
8 YOU FOR YOUR HEART WARMTH TO ENABLE NEW STEM CELL
9 THERAPIES FOR INCURABLE DISEASES. MY NAME IS
10 BIRGITT SCHUELE, AND I'M THE INVESTIGATOR AT THE
11 PARKINSON'S INSTITUTE AND CLINICAL CENTER.

12 I WANTED TO TAKE A MINUTE OF YOUR TIME TO
13 UPDATE YOU ON NEW, REALLY IMPORTANT DATA WITH
14 REGARDS TO OUR APPLICATION. I ALSO SUBMITTED A
15 LETTER WITH MORE DETAILS TO YOU.

16 OUR DISC2 GRANT APPLICATION PROPOSES TO
17 DEVELOP A NOVEL DISEASE-MODIFYING THERAPY FOR
18 PARKINSON'S DISEASE. WHILE OUR PROPOSAL RECEIVED
19 FAVORABLE CRITIQUES FROM THE GRANTS WORKING GROUP,
20 THE REVIEWERS FELT THAT MORE PRELIMINARY DATA WOULD
21 BE CRITICAL TO SHOW THE FEASIBILITY OF OUR PROPOSED
22 STUDY.

23 WHILE OUR GRANT WAS UNDER REVIEW, NEW DATA
24 WERE PUBLISHED THAT NOW ESTABLISH THE VIABILITY OF
25 OUR APPROACH USING DATA FROM A GROUP IN OXFORD

BARRISTERS' REPORTING SERVICE

1 DESCRIBE THE SUCCESSFUL USE OF CRISPR TECHNOLOGY FOR
2 THE KNOCKDOWN OF THE PARKINSON'S GENE
3 ALPHA-SYNUCLEIN AS WE HAVE PROPOSED IN OUR
4 APPLICATION. THE DESIGN THAT WORKS BEST IN THEIR
5 HANDS WAS ACTUALLY OUR HIGHEST RANKED PREDICTED
6 CONSTRUCT. THESE NEW DATA SHOW THE FEASIBILITY OF
7 OUR STUDY AND ALSO SUBSTANTIALLY MIRRORS THEIR
8 PROJECT.

9 SO IT WILL ALLOW US TO MOVE FASTER ON
10 THESE DATA. FIRST, WE CAN QUICKLY MOVE THE NOVEL
11 CONSTRUCT NOW INTO IN VIVO STUDIES; AND THEN,
12 SECOND, WE CAN POSSIBLY DESIGN EVEN BETTER CONSTRUCT
13 THAT COULD ACHIEVE HIGHER KNOCKDOWN FOR THIS
14 PARKINSON'S GENE.

15 PLEASE CONSIDER THIS INFORMATION WHEN
16 YOU'RE MAKING YOUR FINDING DECISION.

17 I ALSO HAVE MR. BART NARGER HERE WHO WILL
18 DESCRIBE HIS PERSPECTIVE ON THE NEED FOR NOVEL
19 PARKINSON'S THERAPY. THANK YOU.

20 MR. NARGER: HELLO, EVERYONE. MY NAME IS
21 BART NARGER, AND I WAS DIAGNOSED WITH PARKINSON'S ON
22 DECEMBER 6TH OF 2011, NEARLY FIVE YEARS AGO. I'VE
23 BEEN LUCKY THAT I'VE BEEN ABLE TO STEP AWAY FROM
24 WORK AND REALLY FOCUS ON MY HEALTH.

25 PARKINSON'S DISEASE IS THE THIRD FASTEST

BARRISTERS' REPORTING SERVICE

1 GROWING CAUSE OF DEATH IN THE UNITED STATES AFTER
2 ALZHEIMER'S AND HYPERTENSION. THERE ARE ABOUT
3 60,000 CASES DIAGNOSED EACH YEAR. CURRENTLY THE
4 ONLY TREATMENT THAT EXISTS ARE ABOUT SYMPTOM
5 SUPPRESSION. THERE'S NOTHING THAT ACTUALLY WORKS ON
6 THE CORE OF THE DISEASE ITSELF.

7 SO PRIMARILY WHAT WE'RE DOING IS WE'RE
8 PUTTING OUR FINGERS IN THE DIKE, AND THE WATER KEEPS
9 ON RISING AND RISING, MEANING I HAVE FEWER AND FEWER
10 NEURONS PRODUCING DOPAMINE EVERY DAY NO MATTER WHAT
11 THE MED.

12 I'M TAKING FIVE MEDICATIONS RIGHT NOW:
13 RYTARY, ARTANE, SELEGILINE, PRAMIPEXOLE, AND
14 AMANTADINE, AND NONE OF THESE DRUGS DOES ANYTHING
15 ABOUT THE PROGRESSION OF THE DISEASE. THEY MERELY
16 SUPPRESS SYMPTOMS.

17 SO AS ONE MOVES DOWN THE PATH OF
18 PARKINSON'S DISEASE AND AS THE DISEASE PROGRESSES,
19 ONE NEEDS TO TAKE MORE AND MORE PILLS BECAUSE YOU'RE
20 FILLING A BIGGER AND BIGGER GAP. I ACTUALLY GOT TO
21 THE POINT WHERE MY NEUROLOGIST RECOMMENDED DEEP
22 BRAIN STIMULATION. A NEUROSURGEON PUTS TWO PROBES
23 INTO THE SUBTHALMAL NUCLEUS IN THIS CASE AND
24 STIMULATES IT TO HELP SUPPRESS SYMPTOMS. I HAD THIS
25 SURGERY, IT WORKED GREAT, THEN THERE WAS AN

BARRISTERS' REPORTING SERVICE

1 INFECTION, AND WE HAD TO YANK IT OUT AGAIN. SO I'M
2 CURRENTLY WITHOUT THAT. AND, AGAIN, I WENT THROUGH
3 ALL THIS JUST TO SUPPRESS SYMPTOMS. THERE'S NOTHING
4 OUT THERE THAT HELPS REDUCE THE PROBLEM, THE ROOT
5 CAUSE, OF PARKINSON'S, WHICH MOST SCIENTISTS BELIEVE
6 IT'S BASED ON THE ALPHA ALPHA-SYNUCLEIN, WHICH KIND
7 OF BUNCHES UP AND KILLS THE NEURONS IN THE BRAIN.

8 SO DR. SCHUELE'S TEAM AT THE PARKINSON'S
9 INSTITUTE IS PROPOSING AN APPROACH IN HUMAN-DERIVED
10 STEM CELLS FROM THE PATIENT'S SKIN CELLS AS PROOF OF
11 CONCEPT FOR FURTHER CLINICAL DEVELOPMENT. IT'S A
12 UNIQUE STUDY THAT WILL PREVENT ALPHA-SYNUCLEIN FROM
13 BEING MADE IN EXCESS TO PROTECT NEURONS IN THE
14 BRAIN. WE NEED TO DO MORE THAN MERELY SUPPRESS
15 SYMPTOMS, BUT ATTACK THE ROOT CAUSE OF PARKINSON'S
16 DISEASE OR, EVEN BETTER, REPAIR THE DAMAGE THAT
17 PARKINSON'S DISEASE HAS BROUGHT.

18 AGAIN, THERE'S NOTHING OUT THERE FOR
19 PARKINSON'S DISEASE THAT WILL DO ANYTHING BUT
20 SUPPRESS SYMPTOMS, NOT GO AFTER ROOT CAUSES.

21 MS. CHEUNG: JEFF, I BELIEVE THERE'S ALSO
22 PUBLIC COMMENT AT DIANE'S LOCATION.

23 MR. SHEEHY: DIANE. WE HAVE SOME
24 BACKGROUND PROBLEMS.

25 MS. CHEUNG: SORRY. IT WAS NOT FOR THAT

BARRISTERS' REPORTING SERVICE

1 APPLICATION.

2 DR. CONKLIN: THIS IS BRUCE CONKLIN
3 CALLING, AND I AM SPEAKING ON BEHALF OF THE 08990.
4 THIS IS THE HUMAN HEART ON A CHIP FOR DRUG DISEASE
5 MODELING. THIS APPLICATION RECEIVED A SCORE OF
6 85 -- SORRY -- 83 AND A MEDIAN OF 82, I BELIEVE.
7 AND SO ACTUALLY IT WAS -- THE COMMITTEE HAS ALREADY
8 ACTUALLY DECIDED TO FUND SCORES ABOVE AND JUST BELOW
9 THIS IN RECENT -- JUST IN DISCUSSION THIS MORNING.
10 SO IT SCORES VERY WELL.

11 THE MAIN CRITICISM OF THIS -- I SHOULD ADD
12 THAT HEART DISEASE IS THE NO. 1 KILLER IN THE UNITED
13 STATES AND IS A MAJOR CONCERN FOR THE CIRM. I
14 SHOULD SAY THAT THIS PROJECT USES HUMAN IPS CELLS AS
15 THE MODEL SYSTEM AND USES STATE-OF-THE-ART
16 TECHNIQUES OF GENE EDITING TO IDENTIFY MODELS TO
17 TEST IN THE STATE-OF-THE-ART HEART ON A CHIP
18 TECHNOLOGY DEVELOPED BY THE HEALY LAB.

19 THE PRIMARY CONCERN OF THE REVIEW WAS THAT
20 THERE WAS CONCERN ABOUT THE STATE OF DIFFERENTIATION
21 OF THE CARDIAC MYOCYTES, LIKE ACTUALLY THE ENTIRE
22 FIELD OF IPS DIFFERENTIATION, THE CELLS DO NOT REACH
23 A FULLY MATURE STATE, AND THIS IS NOT SOMETHING
24 WHICH IS UNIQUE TO CARDIAC MYOCYTES, BUT ALSO FOR
25 THE PANCREATIC ISLET CELLS AND NEURONS AND OTHER

BARRISTERS' REPORTING SERVICE

1 DISEASE SYSTEMS WHICH ARE USED AND ACTIVELY FUNDED
2 BY THE CIRM.

3 HOWEVER, ONE THING WHICH IS REALLY -- THAT
4 IS, ALTHOUGH WE ARE WORKING CONTINUOUSLY TO ACTUALLY
5 ADDRESS THAT PROBLEM, ONE WAY TO ADDRESS THE PROBLEM
6 IS ACTUALLY TO PUT THE CELLS INTO A HEART ON A CHIP
7 MODEL BECAUSE ACTUALLY THE CELLS, WHEN THEY'RE IN A
8 TISSUE, THEY BECOME MORE MATURE AND, IN FACT, DR.
9 HEALY AND HIS GROUP, WHICH I COLLABORATE WITH
10 CLOSELY, ACTUALLY SHOWS THAT THE CELLS ACTUALLY HAD
11 A MORE MATURE RESPONSE. SO ALTHOUGH IT WAS A
12 CRITICISM OF THE REVIEWERS, IT'S ACTUALLY BEING
13 DIRECTLY ADDRESSED BY THIS APPLICATION.

14 AND THIS IS SHOWN BY DRUG RESPONSES WHICH
15 ARE MORE HUMANLIKE AND ADULTLIKE ON THE HEART ON A
16 CHIP THAN IN THE -- WHEN THE CELLS ARE JUST IN A
17 PLATE ESSENTIALLY.

18 I SHOULD ADD THAT THE CARDIAC MYOCYTES
19 THEMSELVES ARE -- WE'RE USING THE EXACT SAME
20 PROTOCOL FOR DIFFERENTIATION AS CIRM-FUNDED PROGRAMS
21 AND NIH-FUNDED PROGRAMS FOR USING THE CELLS FOR
22 TRANSPLANTATION AND CELLS TO TEST THERAPY. I THINK
23 THAT THIS PROGRAM ITSELF, MORE IMPORTANTLY, I THINK,
24 IN TERMS OF THE IMPORTANCE OF THIS PROGRAM, IS THAT
25 WE ARE ACTUALLY ADDRESSING A CRITICAL ISSUE WHICH IS

BARRISTERS' REPORTING SERVICE

1 DRUG TOXICITY. DRUGS THAT ARE BEING USED FOR CANCER
2 CHEMOTHERAPY, FOR INSTANCE, INCREASINGLY IT'S DOSE
3 LIMITING TO GIVE THE DRUGS. FOR INSTANCE, FOR
4 SEPTIN IT'S DOSE LIMITING; FOR MANY OTHER DRUGS IT'S
5 DOSE LIMITING.

6 MS. CHEUNG: EXCUSE ME. DR. CONKLIN, YOUR
7 THREE MINUTES ARE UP.

8 DR. CONKLIN: OKAY. THANK YOU VERY MUCH.
9 SO THANK YOU FOR LISTENING TO ME. SORRY I WENT
10 OVER.

11 MR. SHEEHY: THANK YOU. DO WE HAVE
12 ADDITIONAL PUBLIC COMMENT?

13 DR. HIGGINS: JEFF, THIS IS DAVID HIGGINS.
14 CAN I MAKE A COMMENT?

15 MR. SHEEHY: SURE.

16 DR. HIGGINS: I THINK IT'S TEMPTING THAT
17 WE'VE RAISED -- WE SORT OF RESCUED TWO GRANT
18 PROPOSALS AND FUNDED THEM TO CONSIDER ALL COMERS
19 EQUALLY. I'D JUST LIKE TO POINT OUT THAT THE TWO
20 THAT WE RESCUED HAD VAST MAJORITIES OF THE GWG
21 SCIENTIFIC GROUP APPROVING THEM AND PUTTING THEM IN
22 TIER I, IN BOTH CASES 10 OUT OF 14.

23 THERE'S A LOT OF WAYS YOU CAN SLICE AND
24 DICE HOW YOU FEEL ABOUT RESCUING A GRANT, BUT I JUST
25 WANTED TO POINT OUT THAT THOSE TWO WERE UNIQUE IN

BARRISTERS' REPORTING SERVICE

1 THE ENTIRE TIER II GROUP, THAT THEY HAD SUCH A LARGE
2 MAJORITY OF THE SCIENTIFIC COMPONENT OF THE GWG
3 SUPPORT.

4 MR. SHEEHY: THANK YOU, DAVID. DO WE HAVE
5 ANY OTHER COMMENTS, QUESTIONS, PUBLIC COMMENT? SO
6 WE HAVE A MOTION ON THE FLOOR. AND SO, MARIA, COULD
7 YOU CALL THE ROLL. AND REMEMBER IF FOLKS HAVE A
8 CONFLICT, YOU SHOULD ACKNOWLEDGE THAT IN VOTING.

9 MS. LAPORTE: COULD YOU JUST RESTATE THE
10 MOTION PLEASE.

11 MR. SHEEHY: SURE. THE MOTION IS TO FUND
12 ALL THE APPLICATIONS IN TIER I PLUS THE TWO
13 APPLICATIONS THAT WERE RECOMMENDED FOR FUNDING BY
14 THE CIRM TEAM, 09073 AND 08982.

15 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

16 DR. DULIEGE: AYE.

17 MR. SHEEHY: AND THE OTHER PART -- LET ME
18 JUST -- AND THE OTHER PART IS TO NOT FUND ANY OF THE
19 APPLICATIONS IN TIER II. SO GO AHEAD.

20 DR. DULIEGE: SO I VOTE YES EXCEPT FOR ANY
21 APPLICATIONS I MAY BE IN CONFLICT WITH.

22 MS. BONNEVILLE: DAVID HIGGINS.

23 DR. HIGGINS: YES.

24 MS. BONNEVILLE: STEVE JUELGAARD.

25 DR. JUELGAARD: YES.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: SHERRY LANSING. KATHY
2 LAPORTE.

3 MS. LAPORTE: YES.

4 MS. BONNEVILLE: EXCEPT FOR THOSE WITH
5 WHICH YOU HAVE A CONFLICT.

6 MS. LAPORTE: YES, EXCEPT IF I HAVE A
7 CONFLICT.

8 MS. BONNEVILLE: LAUREN MILLER. ADRIANA
9 PADILLA.

10 DR. PADILLA: YES.

11 MS. BONNEVILLE: JOE PANETTA.

12 MR. PANETTA: YES.

13 MS. BONNEVILLE: FRANCISCO PRIETO.

14 DR. PRIETO: AYE.

15 MS. BONNEVILLE: ROBERT QUINT.

16 DR. QUINT: YES, AND I HAVE NO CONFLICTS.

17 MS. BONNEVILLE: AL ROWLETT.

18 MR. ROWLETT: YES.

19 MS. BONNEVILLE: JEFF SHEEHY.

20 MR. SHEEHY: YES EXCEPT FOR THOSE WITH
21 WHICH I HAVE A CONFLICT.

22 MS. BONNEVILLE: THANK YOU. OS STEWARD.

23 DR. STEWARD: YES EXCEPT FOR THOSE WITH
24 WHICH I HAVE A CONFLICT.

25 MS. BONNEVILLE: JONATHAN THOMAS.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: YES.

2 MS. BONNEVILLE: ART TORRES.

3 MR. TORRES: AYE.

4 MS. BONNEVILLE: DIANE WINOKUR.

5 MS. WINOKUR: YES EXCEPT FOR THOSE WITH
6 WHICH I HAVE A CONFLICT.

7 MS. BONNEVILLE: THANK YOU. MOTION
8 CARRIES.

9 MR. SHEEHY: GREAT. THANK YOU.

10 OKAY. NEXT WE HAVE THE CHALLENGE GRANT
11 ROUND. SO, DR. SAMBRANO, WOULD YOU LIKE TO TAKE US
12 THROUGH THAT.

13 DR. SAMBRANO: YES. THANK YOU. SO I'LL
14 TRY TO BE QUICK. THE OBJECTIVE OF THE CHALLENGE
15 PROGRAM IS TYPICALLY WHEN WE HAVE A UNIQUE QUESTION
16 OR IF THERE IS A NEED OR BOTTLENECK THAT WE WANT TO
17 ADDRESS IN THE FIELD. THE CHALLENGE PROGRAM ALLOWS
18 US TO PRESENT SUCH A CHALLENGE, IF YOU WILL, SO THAT
19 WE GET PROPOSALS TO TRY TO ADDRESS THEM.

20 IN THIS CASE THIS IS THE FIRST CHALLENGE
21 COMPETITION THAT WE HAVE HAD, AND SO THE CHALLENGE
22 HERE WAS AN ATTEMPT TO ENHANCE THE VALUE OF CIRM'S
23 IPSC BANK FOR DISEASE MODELING, TARGET DISCOVERIES,
24 AND SO ON BY ACQUIRING AND ADDING GENETIC DATA FOR
25 THE UP TO 3,000 DISEASE-SPECIFIC AND CONTROL LINES

BARRISTERS' REPORTING SERVICE

1 THAT WOULD BE DEVELOPED UNDER THE IPSC PROGRAM.

2 SO SOME KEY POINTS HERE: WE WERE LOOKING
3 FOR A SINGLE GRANTEE THAT WOULD ACCOMPLISH THIS.
4 THE OVERALL DELIVERABLE OF THE PROJECT IS A
5 COMPREHENSIVE GENETIC PROFILE OF CIRM'S IPSC BANK
6 LINES THAT WILL HOPEFULLY SERVE AS A CATALYST TO
7 FURTHER STUDY AND INTEREST IN THESE CELLS.

8 WE DID NOT SPECIFY EXACTLY WHAT THE
9 GENETIC PROFILES HAD TO ENTAIL, SO THAT WAS LEFT
10 FLEXIBLE FOR APPLICANTS TO BOTH TELL US HOW THEY
11 WOULD DO THIS AND WHY THAT WOULD PROVIDE VALUE TO
12 THE IPSC BANK.

13 AGAIN, THE SAME REVIEW CRITERIA IN THIS
14 CASE: THE SIGNIFICANCE AND IMPACT; THAT IS, HOW IT
15 WOULD DIRECTLY ADDRESS THE CHALLENGE THAT WE POSED,
16 WHETHER THE APPROACHES THAT ARE PROPOSED ARE
17 APPROPRIATE AND MAKE SENSE, AND WHETHER THEY HAVE A
18 GOOD DESIGN AND ARE LIKELY TO ACCOMPLISH IT.

19 AGAIN, A REMINDER, THE SCORING CHANGES A
20 LITTLE BIT AGAIN. THE SCORING SYSTEM HERE IS 1 TO
21 100, 100 BEING THE BEST POSSIBLE SCORE. HOWEVER,
22 ONLY THE APPLICATION WITH THE HIGHEST AVERAGE SCORE
23 CARRIES THE RECOMMENDATION OF THE GRANTS WORKING
24 GROUP TO FUND BECAUSE THIS IS A PROGRAM WHERE WE CAN
25 ONLY -- INTEND TO FUND ONLY ONE APPLICATION.

BARRISTERS' REPORTING SERVICE

1 SO IN THE NEXT SLIDE THERE'S THE TABLE
2 WHICH SHOWS A LISTING OF THE FIVE APPLICATIONS THAT
3 WERE REVIEWED, AND THE APPLICATION THAT SCORED THE
4 HIGHEST WITH A SCORE OF 88 IS 9167 WITH A BUDGET OF
5 2 MILLION, WHICH IS THE AMOUNT THAT WE ALLOCATED TO
6 THIS PROGRAM. SO IF THERE ARE QUESTIONS, I'D BE
7 HAPPY TO TAKE THEM.

8 MR. SHEEHY: SO COULD I TURN THE CHAIR
9 OVER TO OS IF HE'D BE WILLING TO TAKE IT, DR.
10 STEWARD, BECAUSE I WANTED TO MAKE A MOTION ON THIS
11 PARTICULAR ROUND. ARE YOU COMFORTABLE WITH THAT,
12 OS?

13 DR. STEWARD: SORRY. YES, I CAN DO THAT.
14 SO CAN WE HEAR A MOTION?

15 MR. SHEEHY: SO THE MOTION I WANTED TO
16 MAKE WAS NOT TO FUND ANY OF THE APPLICATIONS IN THIS
17 ROUND. AND THE REASON IS, FIRST, I THINK THAT WE'VE
18 SPENT \$25 MILLION ALREADY ON THE REPOSITORY. WE
19 SPENT 40 MILLION ON THE GENOMICS CENTER. AND THIS 2
20 MILLION IS JUST THE BEGINNING OF WHAT WE WOULD NEED
21 TO SPEND TO ENHANCE THIS REPOSITORY. AND I THINK
22 WE'VE INVESTED ENOUGH IN BOTH THE REPOSITORY AND
23 GENOMICS AT LEAST AT THIS POINT.

24 WE HAVE 3,000 LINES, SO EVEN THE
25 APPLICATION THAT'S SUCCESSFUL DOESN'T PROPOSE TO DO

BARRISTERS' REPORTING SERVICE

1 MORE THAN A THOUSAND OF THOSE LINES. SO AT SOME
2 POINT WE'LL NEED SOME ADDITIONAL MILLIONS TO DO THE
3 REST OF THE LINES.

4 ONE OF THE REVIEWERS AT THE REVIEW SAID
5 THAT THEY WOULD BE, EVEN WITH THIS GENOMIC ANALYSIS
6 OF THESE LINES, THEY WOULD BE UNABLE TO USE THESE
7 MATERIALS IN RESEARCH FUNDED BY THE NIH BECAUSE
8 THEY'RE NOT DOING A GENOMIC ANALYSIS OF THE SOURCE
9 TISSUE.

10 SO THE IDEA HERE IS THEY'RE GOING TO
11 ANALYZE THE STEM CELL LINES AS THEY KIND OF CAPTURE
12 THE CHANGES AS THEY GO DOWN THE PATHWAYS. SO
13 WITHOUT AN UNDERSTANDING OF WHAT THE ORIGINAL
14 MATERIAL LOOKED LIKE, THEN THOSE CHANGES ARE VERY
15 HARD, AT LEAST IT WOULD BE VERY DIFFICULT TO GET
16 RESEARCH FUNDED TO LOOK AT THOSE LINES NOT KNOWING
17 WHERE THEY CAME FROM, NOT HAVING THAT SAME ANALYSIS
18 WHERE THEY CAME FROM.

19 SO ONE SUGGESTION THAT CAME OUT OF THE
20 REVIEW WAS THAT WE FUND LOOKING AT THE SOURCE
21 MATERIAL -- FUND THE GENOMIC ANALYSIS OF THE SOURCE
22 MATERIAL AS WELL. ANOTHER REVIEWER SUGGESTED THAT
23 WE FUND BOTH OF THE TOP TWO TO DO THE SAME WORK
24 BECAUSE THERE COULD BE VARIATIONS IN THE GENOMIC
25 ANALYSIS OF EACH SERIES. SO THE RESEARCH BASICALLY

BARRISTERS' REPORTING SERVICE

1 TO BE VALIDATED WOULD BE BEST IF WE ACTUALLY FUNDED
2 BOTH TO VALIDATE THE FINDINGS OF THE FIRST GROUP.

3 SO WHAT COMES TO MIND HERE IS THAT WE'VE
4 GOT THIS RESOURCE, TWO COMMERCIAL ENTITIES. ONE OF
5 THE COMMERCIAL ENTITIES HAS BEEN ACQUIRED BY A
6 MULTINATIONAL FUJI FILM, CDI HAS BEEN ACQUIRED BY
7 THAT. SO THERE IS A LOT OF MONEY ON THAT SIDE OF
8 THE TABLE, A LOT OF COMMERCIAL MONEY. AND THIS IS
9 REALLY A COMMERCIAL PRODUCT. AND FOR US TO DO THIS
10 INVESTMENT WOULD BE JUST A PARTIAL INVESTMENT.

11 I DON'T BELIEVE THAT IT'S CIRM'S ROLE TO
12 CONTINUE TO PUT FUNDS INTO THIS PROJECT TO MAKE IT
13 MORE COMMERCIALY VIABLE. IF IT'S COMMERCIALY
14 VIABLE, THERE ARE ENTITIES WITH MUCH DEEPER POCKETS
15 THAT COULD TAKE THESE CELL LINES --

16 (INTERRUPTION.)

17 MR. SHEEHY: SO THAT'S WHY I'M MAKING MY
18 MOTION NOT TO FUND THESE. IF THERE'S A SECOND, THAT
19 WOULD BE GREAT. BUT ALSO I UNDERSTAND OTHERS MAY
20 HAVE COMMENTS.

21 DR. STEWARD: THANK YOU, JEFF.

22 (INTERRUPTION.)

23 DR. STEWARD: WE HAVE A MOTION. DO WE
24 HAVE A SECOND?

25 DR. PRIETO: I'LL SECOND.

BARRISTERS' REPORTING SERVICE

1 DR. STEWARD: IS THERE DISCUSSION?

2 MR. TORRES: YES. I HAVE A QUESTION OF
3 GIL. HOW MUCH DO YOU FORESEE, IF ANY, RETURN TO THE
4 STATE OF CALIFORNIA AS A RESULT OF A COMMERCIAL
5 PRODUCT THAT THEY'RE TALKING ABOUT HERE?

6 DR. SAMBRANO: THE GOAL OF THIS CHALLENGE
7 PROGRAM IS TO TRY TO ADD VALUE TO SOMETHING THAT IS
8 ALREADY UNDER WAY. SO THE IPSC BANK IS GENERATING
9 IPSC LINES. IT IS NOT YET DONE, BUT ONE OF THE
10 THINGS THAT WE HAD THOUGHT OF THAT COULD MAKE THEM
11 MORE ATTRACTIVE AND VALUABLE TO INVESTIGATORS WHO
12 WOULD UTILIZE THEM WAS TO PROVIDE GENETIC DATA OR
13 INFORMATION ABOUT EACH OF THE LINES. YOU KNOW,
14 WHETHER ULTIMATELY THAT ENDS UP BEING A TRUE
15 OUTCOME, WE DON'T KNOW. BUT IT IS SOMETHING THAT,
16 BASED ON DISCUSSIONS WITH OTHER INVESTIGATORS WHO
17 WOULD UTILIZE THEM, THEY FELT THAT THAT WAS AN
18 ASPECT THAT WOULD ADD VALUE AND A REASON TO GET IT.

19 PAT OLSON MAY HAVE ADDITIONAL INFORMATION.

20 DR. OLSON: I JUST WANTED TO SPEAK TO A
21 COUPLE OF COMMENTS. FIRST, AS WAS POINTED OUT BY
22 MR. SHEEHY, CDI IS, IN FACT, WAS ACQUIRED BY FUJI
23 FILM. HOWEVER, CDI BASICALLY HAS RESPONSIBILITY
24 ONLY FOR MAKING THE LINES, AND THEY MAKE THEM SO
25 THAT THEY'RE ALL MADE THE SAME WAY.

BARRISTERS' REPORTING SERVICE

1 THE PEOPLE WHO ACTUALLY HAVE
2 RESPONSIBILITY FOR DISTRIBUTING THE LINES IS A
3 NONPROFIT BANK CORIELL. AND WHAT CORIELL HAS FOUND
4 IS THAT IN THE REQUEST FOR THE LINES, AND THEY ARE
5 BEING REQUESTED NOW, THEY ARE BEING SOLD, ONE OF THE
6 THINGS THAT KEEPS COMING UP IS WHAT GENETIC
7 INFORMATION IS THERE THAT'S ASSOCIATED. THE PEOPLE
8 WOULD REALLY LIKE TO SEE THAT. SO IN POINT OF FACT,
9 ALL THE LINES WILL ACTUALLY HAVE A SNP WHICH IS
10 LOOKING AT A LOT OF LOCI THAT ARE ASSOCIATED WITH
11 DISEASE. ALL THE LINES WILL HAVE THAT ANALYSIS
12 ASSOCIATED WITH THEM, AND THEN A SUBSET OF THE LINES
13 WILL HAVE A GOLD GENOMIC ANALYSIS ASSOCIATED WITH
14 THEM.

15 SO THERE WILL BE GENETIC INFORMATION
16 ASSOCIATED WITH ALL THE LINES. THEY ARE GOING TO BE
17 MADE AVAILABLE BY A NONPROFIT ORGANIZATION, AND
18 OBVIOUSLY OUR EXPECTATION IS THAT, IN POINT OF FACT,
19 THIS KIND OF RESEARCH WOULD BE VERY VALUABLE TO
20 COMMERCIAL ENTITIES WHO ACTUALLY HAVE DIFFERENT
21 TERMS FROM NONPROFIT ENTITIES IN THE ACQUISITION OF
22 THE LINES AND THAT THEIR USE BY COMMERCIAL ENTITIES
23 COULD AT SOME POINT RETURN VALUE.

24 BUT, AGAIN, ONE OF THE THINGS THAT CORIELL
25 HEARS FROM THEIR CUSTOMERS IS THE IMPORTANCE OF THE

BARRISTERS' REPORTING SERVICE

1 GENETIC INFORMATION.

2 MR. TORRES: THANK YOU.

3 MR. SHEEHY: SO, OS, COULD I JUST MAKE A
4 COMMENT?

5 DR. STEWARD: YES, PLEASE.

6 MR. SHEEHY: SO WE'RE ONLY GOING TO HAVE
7 THE GENETIC ANALYSIS ON A SUBSET OF THE LINES THAT
8 WE'RE DEVELOPING. I JUST -- THIS SEEMS LIKE THE
9 BEGINNING -- AT SOME POINT WE HAVE TO DECIDE HOW
10 MUCH WE WANT TO INVEST IN THIS PROJECT. IF WE DO
11 THIS, I THINK IT JUST MAKES SENSE THAT WE'LL COME
12 BACK AGAIN FOR ADDITIONAL MILLIONS OF DOLLARS AND
13 AGAIN FOR ADDITIONAL MILLIONS OF THE DOLLARS. SO
14 THE REFINEMENT OF THIS TOOL, WHICH RIGHT NOW IS A
15 BASIC RESEARCH TOOL, AS I KIND OF UNDERSTAND IT,
16 DOESN'T -- AT SOME POINT FOR THESE BIG
17 INFRASTRUCTURE THINGS, IT SEEMS LIKE IT'S A LONG WAY
18 FROM THE CLINIC, AND IT'S NOT TO GOING TO BE
19 COMPLETE UNTIL WE INVEST AN UNCERTAIN AMOUNT OF
20 ADDITIONAL MONEY.

21 SO WE GENERATED THE LINES. I JUST FEEL
22 LIKE SOMEONE ELSE CAN CONTINUE TO FUND THIS PART OF
23 IT. WE'VE PUT \$40 MILLION IN THE GENOMIC CENTER.
24 SO IT JUST SEEMS SOMEWHAT UNUSUAL THAT AFTER \$65
25 MILLION WE NEED TO KEEP PUTTING MORE INTO THIS. AT

BARRISTERS' REPORTING SERVICE

1 SOME POINT THESE ARE SOFT COSTS AND THE MARGINAL
2 COST OF GOING FORWARD WITH AN UNCERTAIN OUTCOME OF
3 THE UTILITY OF THESE CELLS JUST MAKES ME HESITATE TO
4 INVEST IN IT.

5 DR. STEWARD: THANKS, JEFF. DO WE HAVE
6 ANY OTHER COMMENTS?

7 MS. WINOKUR: YES. DIANE.

8 DR. STEWARD: GO AHEAD, DIANE.

9 MS. WINOKUR: I JUST WANTED TO COMMENT
10 THAT, AND IT'S SOMETHING I'M SURE YOU ALL KNOW, BUT
11 DESIGNATING AN ORGANIZATION LIKE CLAMAYA (PHONETIC),
12 A NONPROFIT, IS A TAX DESIGNATION. IT DOESN'T MEAN
13 THE MONEY DOESN'T GO TO SUPPORT STAFF OR BUILDING OR
14 ANY NUMBER OF THINGS. SO IT DOESN'T MEAN THAT
15 THERE'S FUNDING THAT GOES TO THE ORGANIZATION.

16 DR. STEWARD: THANK YOU, DIANE. OTHER
17 COMMENTS?

18 DR. JUELSGAARD: OS, THIS IS STEVE. CAN
19 WE HAVE THE -- I KNOW PAT SPOKE TO THIS. I GUESS
20 ACTUALLY I DON'T HAVE A QUESTION. SHE'S ALREADY
21 ANSWERED IT FOR ME. THANKS.

22 DR. OLSON: AGAIN, I JUST WANT TO
23 REITERATE THAT THE SNP ANALYSIS, WHICH LOOKS AT MANY
24 DIFFERENT GENETIC LOCI, WILL BE PERFORMED ON ALL THE
25 LINES, BUT A SUBSET OF THE LINES WILL HAVE A FULL

BARRISTERS' REPORTING SERVICE

1 GENETIC -- A FULL GENOMIC ANALYSIS, A FULL
2 SEQUENCING ANALYSIS, THAT THAT INFORMATION IS DEEMED
3 TO BE VALUABLE, EVEN THE SNP ANALYSIS, FOR POTENTIAL
4 CUSTOMERS.

5 AND I ALSO WANT -- JUST ONE OTHER THING.
6 THE GENOMIC CENTER IS INDEPENDENT FROM THIS IPSC
7 BANK.

8 DR. JUELSGAARD: PAT, THIS IS STEVE. HOW
9 WILL THE 1,000 LINES BE IDENTIFIED?

10 DR. OLSON: I BELIEVE THAT WILL BE IN
11 CONSULTATION, BUT I WOULD NEED TO FIND THAT OUT FOR
12 SURE.

13 DR. JUELSGAARD: AS JEFF MENTIONED,
14 THERE'S A COMMERCIAL ENTERPRISE INVOLVED. AND I
15 THINK ONE OF THE THINGS WE JUST NEED TO BE A LITTLE
16 COGNIZANT OF IS THAT THEY MAKE DECISIONS POTENTIALLY
17 BASED ON COMMERCIAL VALUATIONS WHICH MAY NOT
18 NECESSARILY BE THE SAME ONES THAT WE WOULD MAKE
19 DECISIONS ON.

20 DR. OLSON: RIGHT. NOW, WE DO HAVE -- I
21 MEAN WE WORK VERY CLOSELY WITH CORIELL, AND THEY
22 WILL BE THE PEOPLE WHO WILL BE -- WE WILL BE WORKING
23 WITH THEM TO DETERMINE WHAT IS SEQUENCED.

24 DR. JUELSGAARD: ALL RIGHT. THANKS.

25 MS. CHEUNG: OS, ARE YOU THERE?

BARRISTERS' REPORTING SERVICE

1 DR. STEWARD: PUBLIC COMMENT. SO WE DO
2 HAVE A MOTION AND A SECOND. TURN IT OVER TO MARIA.

3 CHAIRMAN THOMAS: WE HAVE PUBLIC COMMENT,
4 OS.

5 DR. LORING: THIS IS JEANNE LORING.
6 JEANNE LORING FROM THE SCRIPPS RESEARCH INSTITUTE.
7 I HAVE TO DISCLOSE I AM ON CORIELL'S ADVISORY BOARD.
8 SO I'VE BEEN WITH THEM DURING ONE OF THESE
9 VALUE-ADDED PROPOSITIONS ABOUT DOING WHOLE GENOME
10 SEQUENCING. I HAVE TO SAY THAT UNTIL JEFF MADE THAT
11 SUGGESTION, IT DIDN'T OCCUR TO ME THAT WE COULD
12 ACTUALLY TAKE THE RIGHT ROUTE HERE. THE CELLS HAVE
13 ALREADY BEEN SNP GENOTYPED. THAT MEANS THAT THEY
14 HAVE BEEN -- THE ENTIRE GENOME HAS BEEN ANALYZED IN
15 A LESS DETAILED WAY THAN DNA SEQUENCING, BUT STILL
16 SUFFICIENT TO BE ABLE TO, IN FACT, IDENTIFY THE
17 INDIVIDUALS FROM WHICH THOSE CELLS CAME.

18 NOW, WHEN YOU START DOING DNA SEQUENCING,
19 IF YOU PROVIDE THAT INFORMATION, AND THIS HAS BEEN A
20 CONTENTIOUS ISSUE WITH CORIELL FOR A VERY LONG TIME,
21 THE LAST SIX YEARS I'VE BEEN ON THEIR ADVISORY
22 BOARD. YOU CAN IDENTIFY THE INDIVIDUALS BASED ON
23 THEIR DNA SEQUENCE. AND SO CORIELL HAS NOT REALLY
24 BOUGHT INTO THE IDEA YET OF RELEASING THAT
25 INFORMATION. SO I THINK JEFF IS RIGHT IN SUGGESTING

BARRISTERS' REPORTING SERVICE

1 THAT THIS IS THE KIND OF INVESTMENT THAT IS
2 PREMATURE AND PERHAPS IS NOT GOING TO ADD VALUE AS
3 WAS PERCEIVED BECAUSE THERE WILL BE ETHICAL ISSUES
4 IN RELEASING THAT INFORMATION.

5 DR. STEWARD: THANK YOU. OTHER PUBLIC
6 COMMENT?

7 MR. TORRES: OH, SHE WAS AN ADVOCATE?

8 DR. STEWARD: IF THERE'S NO MORE PUBLIC
9 COMMENT THEN, I'LL TURN IT OVER TO MARIA FOR ROLL
10 CALL.

11 MR. TORRES: WAIT. ON THIS POINT, DR.
12 STEWARD, SO TO VOTE YES IS TO NOT SUPPORT THE STAFF
13 RECOMMENDATION ON THE GENOMIC PROPOSAL; IS THAT
14 CORRECT, ON THE QUEST?

15 MR. SHEEHY: THE MOTION IS NOT TO FUND ANY
16 OF THE APPLICATIONS IN THIS ROUND.

17 MR. TORRES: SO IF YOU WANT TO SUPPORT THE
18 APPLICATION, YOU WOULD VOTE NO.

19 DR. STEWARD: AND I THINK THEN WE WOULD
20 CONSIDER AN ALTERNATIVE MOTION.

21 MR. TORRES: YES.

22 MR. SHEEHY: NO. THE MOTION I MADE WAS
23 NOT TO FUND ANY OF THE APPLICATIONS IN THIS ROUND.
24 SO THAT WOULD BE NONE. IF THAT PASSES -- A YES
25 WOULD MEAN THAT WE WILL NOT FUND AN APPLICATION IN

BARRISTERS' REPORTING SERVICE

1 THIS ROUND.

2 MR. TORRES: IF YOU SUPPORT THE
3 APPLICATION, THEN YOU WOULD VOTE NO.

4 MR. SHEEHY: RIGHT.

5 MR. TORRES: OKAY. THANKS.

6 DR. STEWARD: OKAY. IF WE'RE CLEAR,
7 MARIA.

8 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

9 DR. DULIEGE: AYE.

10 MS. BONNEVILLE: DAVID HIGGINS.

11 DR. HIGGINS: NO.

12 MS. BONNEVILLE: STEVE JUELSGAARD.

13 DR. JUELSGAARD: I VOTE YES.

14 MS. BONNEVILLE: KATHY LAPORTE. LAUREN
15 MILLER. ADRIANA PADILLA.

16 DR. PADILLA: YES.

17 MS. BONNEVILLE: JOE PANETTA.

18 MR. PANETTA: NO.

19 MS. BONNEVILLE: FRANCISCO PRIETO.

20 DR. PRIETO: AYE.

21 MS. BONNEVILLE: ROBERT QUINT.

22 DR. QUINT: YES.

23 MS. BONNEVILLE: AL ROWLETT.

24 MR. ROWLETT: I VOTE YES.

25 MS. BONNEVILLE: JEFF SHEEHY.

BARRISTERS' REPORTING SERVICE

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MR. SHEEHY: YES.

MS. BONNEVILLE: OS STEWARD.

DR. STEWARD: YES.

MS. BONNEVILLE: JONATHAN THOMAS.

CHAIRMAN THOMAS: YES.

MS. BONNEVILLE: ART TORRES.

MR. TORRES: NO.

MS. BONNEVILLE: DIANE WINOKUR.

MS. WINOKUR: YES.

CHAIRMAN THOMAS: MARIA IS TABULATING
HERE.

MS. BONNEVILLE: THE MOTION PASSES.

DR. STEWARD: JEFF, I THINK THE CHAIR GOES
BACK TO YOU.

MR. SHEEHY: THANK YOU, OS. AND I THINK
THAT CONCLUDES THE BUSINESS OF THE APPLICATION
REVIEW SUBCOMMITTEE. SO IT'S BACK TO YOU, CHAIRMAN
THOMAS, IF THERE'S ANY OTHER BUSINESS FOR THE ICOC
OR TO ADJOURN.

CHAIRMAN THOMAS: THANK YOU, MR. SHEEHY.
WE HAVE NOW REACHED THE GENERAL PUBLIC COMMENT
PORTION OF THE AGENDA. ARE THERE ANY MEMBERS OF THE
PUBLIC EITHER HERE OR AT OTHER LOCATIONS THAT WOULD
LIKE TO COMMENT ON WHATEVER IS ON THEIR MIND? YES,
SIR.

BARRISTERS' REPORTING SERVICE

1 DR. LAIKIND: HI. I'M PAUL LAIKIND. I'M
2 PRESIDENT AND CEO OF VIACYTE, AND I WANTED TO READ A
3 PREPARED REMARKS INTO THE RECORD.

4 I WANT TO TAKE THIS OPPORTUNITY TO THANK
5 CIRM FOR THE IMPORTANT WORK THAT THEY ARE DOING TO
6 PROMOTE THE PROMISING FIELD OF REGENERATIVE MEDICINE
7 ON BEHALF OF ALL THE CITIZENS OF CALIFORNIA. AT
8 VIACYTE WE ARE HONORED TO HAVE PARTNERED WITH CIRM
9 TEAM TO DEVELOP STEM CELL-DERIVED THERAPIES WITH THE
10 POTENTIAL TO TRANSFORM THE LIVES OF PATIENTS WITH
11 DIABETES. THE FINANCIAL TECHNICAL SUPPORT PROVIDED
12 BY CIRM HAS ALLOWED US TO ADVANCE THE FIRST EVER
13 ALLOGENEIC ENCAPSULATED CELL THERAPY PRODUCT INTO
14 THE CLINIC. THIS IS CALLED VC-01 WHICH WE'RE NOW
15 CALLING PEC-ENCAP.

16 I CAN'T OVER EMPHASIZE THE IMPORTANCE OF
17 THE CLINICAL WORK WE'RE DOING WITH PEC-ENCAP. NOT
18 ONLY ARE WE GAINING INSIGHTS TO MAKE THIS PRODUCT
19 CANDIDATE SUCCESSFUL, WE'RE ALSO ADVANCING THE FIELD
20 IN GENERAL. WHEN MY FRIENDS ASK ME HOW THINGS ARE
21 GOING AT VIACYTE, I OFTEN SAY THAT CHANGING THE
22 WORLD IS NOT EASY AND IT'S NOT. SO WITH THE HELP OF
23 CIRM AND AS WELL HELP FROM OUR FRIENDS AT JDRF,
24 WE'RE MAKING STEADY PROGRESS WITH THE PEC-ENCAP
25 TOWARDS AN IMPORTANT NEW TREATMENT FOR THE MAJORITY

BARRISTERS' REPORTING SERVICE

1 OF PATIENTS WITH INSULIN-DEPENDENT DIABETES.

2 BUILDING ON WHAT WE HAVE LEARNED THUS FAR
3 WITH PEC-ENCAP, WE ARE NOW APPROACHING THE CLINIC
4 WITH THE SECOND RELATED PRODUCT CANDIDATE WHICH THE
5 COMMITTEE -- THE BOARD VOTED ON THIS MORNING. THIS
6 PRODUCT CANDIDATE CALLED PEC-DIRECT IS BEING
7 DEVELOPED FOR A SUBSET OF PATIENTS WITH DIABETES
8 THAT ARE AT VERY HIGH RISK FOR ACUTE COMPLICATIONS.
9 THESE HIGH RISK PATIENTS SUFFER SOME SEVERE
10 HYPOGLYCEMIC EPISODES, EXTREME GLYCEMIC LABILITY,
11 IMPAIRED AWARENESS OF HYPOGLYCEMIA, AND ARE AT
12 CONSTANT RISK OF HOSPITALIZATION, EVEN DEATH.

13 IN THE U.S. ALONE IT'S ESTIMATED THAT OVER
14 125,000 TYPE 1 DIABETIC PATIENTS ARE IN THIS HIGH
15 RISK CATEGORY. THE PATIENT POPULATION BEING
16 TARGETED WITH PEC-DIRECT, WHICH WAS VOTED ON THIS
17 MORNING AND APPROVED, IS GENERALLY THE SAME
18 POPULATION THAT WOULD BE ELIGIBLE FOR CADAVER ISLET
19 TRANSPLANTS, A PROCEDURE THAT CAN BE HIGHLY
20 EFFECTIVE, BUT SUFFERS FROM A SEVERE LACK OF DONOR
21 MATERIAL AS WELL AS OTHER LIMITATIONS.

22 WE BELIEVE PEC-DIRECT CAN OVERCOME THE
23 LIMITATIONS OF CADAVER ISLET TRANSPLANTS BY
24 PROVIDING AN UNLIMITED SUPPLY OF CELLS DERIVED FROM
25 EMBRYONIC STEM CELL STARTING MATERIAL MANUFACTURED

BARRISTERS' REPORTING SERVICE

1 UNDER CGMP CONDITIONS AND A SAFER, MORE OPTIMAL
2 ROUTE OF ADMINISTRATION. MOREOVER, BASED ON THE
3 CLINICAL STUDIES AND INFORMED BY WHAT WE HAVE
4 LEARNED WITH THE PEC-ENCAP, WE BELIEVE THAT
5 PEC-DIRECT HAS A GOOD PROBABILITY OF RELATIVELY
6 RAPID ADVANCEMENT AND SUCCESS IN THE CLINIC.

7 THE WORK WE ARE DOING ON PEC-DIRECT AND
8 PEC-ENCAP REPRESENTS HOPE TO MILLIONS OF DIABETES
9 PATIENTS, NOT JUST IN CALIFORNIA, BUT WORLDWIDE.
10 THE AMAZING PROGRESS MADE TO DATE ON THESE PROJECTS
11 AND MANY OTHERS IN THE REGENERATIVE MEDICINE SPACE
12 WOULD NOT HAVE BEEN POSSIBLE WITHOUT THE STRONG
13 SUPPORT OF CIRM. SO I REALLY WANT TO AGAIN THANK
14 YOU FOR THE IMPORTANT WORK THAT EVERYONE AT CIRM AND
15 ON THE BOARD ARE DOING.

16 CHAIRMAN THOMAS: THANK YOU VERY MUCH,
17 PAUL, FOR YOUR COMMENTS. ANY OTHER PUBLIC COMMENTS?
18 YES. WE HAVE ONE PUBLIC -- AT LEAST ONE MORE HERE.

19 UNIDENTIFIED SPEAKER: THANK YOU, ICOC
20 MEMBERS AND CIRM BOARD FOR ALL YOUR HARD WORK ON
21 BEHALF OF TYPE 1 DIABETICS LIKE ME AND OTHERS. I
22 WANT TO THANK IN PARTICULAR JEFF AND YOU, JONATHAN,
23 AND ART AND FRANCISCO. YOU FOLKS KNOW ME AND KNOW
24 MY WIFE WELL. LORRAINE IS NOT HERE TODAY. SHE IS
25 IN WASHINGTON, D.C. TESTIFYING BEFORE THE FOOD AND

BARRISTERS' REPORTING SERVICE

1 DRUG ADMINISTRATION ABOUT DEXCOM AND THE USES OF
2 DEXCOM IN TYPE 1 DIABETES. SO OTHERWISE SHE WOULD
3 BE HERE. AND SHE WANTS ME TO THANK YOU ON HER
4 BEHALF AS WELL.

5 THIS VIACYTE WORK IS EXTREMELY IMPORTANT
6 TO ME AS YOU CAN IMAGINE. I'VE HAD TYPE 1 FOR 57
7 YEARS. THAT'S QUITE A WHILE. THERE ARE ONLY 3,000
8 OF US ESTIMATED THAT HAVE HAD DIABETES MORE THAN 50
9 YEARS IN THE U.S., AND WE ALL MEET AT THE JOSLIN
10 DIABETES CENTER IN BOSTON EVERY TWO YEARS, VERY
11 EXCITING EVENT. AND I BELIEVE, BECAUSE OF THE WORK
12 OF CIRM AND JDRF AND OTHERS, THAT I WILL BE CURED IN
13 MY LIFETIME, AND THAT EXCITES ME QUITE A BIT. I WAS
14 DIAGNOSED IN 1959, '60. I DIDN'T THINK THAT WOULD
15 EVER HAPPEN. PEOPLE TOLD ME IT WAS NOT POSSIBLE,
16 BUT THANKS TO YOU AND YOUR WORK, IT IS POSSIBLE, I
17 BELIEVE. SO THANK YOU VERY MUCH FOR THAT.

18 AND THE OTHER ASPECT OF THE VIACYTE WORK
19 PARTICULARLY THAT WAS MENTIONED WAS THAT THEY MIGHT
20 HAVE APPLICATION TO TYPE 2. FOR THE LAST YEAR AND A
21 HALF, LORRAINE AND I HAVE BEEN WORKING IN SUPPORT OF
22 THE CDC REVAMPING DIABETES EDUCATION FOR TYPE 2
23 PATIENTS AS WELL. AND THAT'S PRETTY EXCITING FOR
24 THOSE FOLKS.

25 SO, JONATHAN, YOU TALKED EARLIER ABOUT

BARRISTERS' REPORTING SERVICE

1 CO-FUNDING WITH OTHER ORGANIZATIONS. AS YOU KNOW,
2 JDRF IS FUNDING SOME OF THE VIACYTE WORK. I THINK
3 ADA AND OTHER ORGANIZATIONS ARE POSSIBILITIES FOR
4 THIS CURRENT WORK THAT THEY'RE TALKING ABOUT BECAUSE
5 THAT WILL AFFECT TYPE 2S AS WELL, AND THAT'S A MUCH
6 BIGGER ISSUE, AS I'M SURE YOU'RE AWARE. NINETY
7 PERCENT OF THE DIABETICS IN THIS COUNTRY ARE TYPE 2.

8 I HAVE HAD TWO STROKES AND TWO BRAIN
9 SURGERIES SINCE I LAST SAW YOU ALL, SO FORGIVE ME IF
10 MY SPEECH IS NOT PERFECT, BUT I'M DOING THE BEST I
11 CAN THROUGH THERAPY TO IMPROVE. SO THANK YOU VERY
12 MUCH FOR ALL THE WORK YOU DO AND FOR YOUR SUPPORT
13 SINCE 2004 WHEN LORRAINE AND I FIRST STARTED WORKING
14 ON PROP 71. AND THANKS TO VIACYTE FOR THE WORK THEY
15 DO, AND THANK YOU FOR FUNDING THEM. APPRECIATE IT
16 VERY MUCH.

17 CHAIRMAN THOMAS: THANK YOU, CHRIS, AND
18 THANK YOU FOR ALL YOUR PAST WORK, YOU AND LORRAINE,
19 ON BEHALF OF CIRM AND PATIENTS. THANK YOU. ANY
20 OTHER PUBLIC COMMENT?

21 MS. CHEUNG: NO PUBLIC COMMENT IN OAKLAND.
22 THIS IS JUST A REMINDER TO THE BOARD MEMBERS THAT
23 THE NEXT TELEPHONIC MEETING WILL BE ON AUGUST 25TH,
24 AND OUR NEXT IN-PERSON MEETING WILL BE SEPTEMBER
25 21ST, AND I WILL SEND ADDITIONAL DETAILS IN THE NEXT

BARRISTERS' REPORTING SERVICE

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

COUPLE OF WEEKS.
CHAIRMAN THOMAS: THANK YOU. HEARING NO
FURTHER PUBLIC COMMENT, THAT BRINGS US TO THE END OF
THE AGENDA. THANK YOU ALL FOR ATTENDING AT THE
VARIOUS SITES AND FOR ALL THE BOARD MEMBERS AND
TEAM, ANOTHER EXCELLENT MEETING, AND WE STAND
ADJOURNED.

BARRISTERS' REPORTING SERVICE

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE TELEPHONIC PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE AND THE APPLICATION REVIEW SUBCOMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD ON JULY 21, 2016, WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.



BETH C. DRAIN, CSR 7152
BARRISTERS' REPORTING SERVICE
160 S. OLD SPRINGS ROAD
SUITE 270
ANAHEIM, CALIFORNIA
(714) 444-4100