

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: UNIVERSITY OF CALIFORNIA
LOS ANGELES
GRAND HORIZON ROOM, COVEL COMMONS
LOS ANGELES, CALIFORNIA

DATE: THURSDAY, OCTOBER 21, 2010
9:30 A.M.

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO.: 85136

BARRISTERS' REPORTING SERVICE

I N D E X

| ITEM | DESCRIPTION | PAGE NO. |
|------|--|-----------|
| | CALL TO ORDER | 41, 4 |
| | ROLL CALL | 44, 6 |
| 4. | CHAIRMAN'S REPORT. | 8 |
| 5. | PRESIDENT'S REPORT. | NOT HEARD |
| 6. | REPORT REGARDING THE FINANCIAL IMPLICATIONS OF FUNDING \$243 MILLION OF DISEASE TEAM II AWARDS. | 12 |
| 7. | CONSIDERATION OF STRATEGIC FINANCIAL PLAN PROJECTED CASH FLOWS. | 12 |
| | CLOSED SESSION (NOT REPORTED) | 26, 131 |
| 8. | DISCUSSION OF PERSONNEL [EVALUATION OF PRESIDENT] (GOVERNMENT CODE SECTION 11126, SUBDIVISION (A); HEALTH & SAFETY CODE SECTION 125290.30(D) (3) (D)). | |
| 11. | DISCUSSION OF CONFIDENTIAL INTELLECTUAL PROPERTY OR WORK PRODUCT, PREPUBLICATION DATA, FINANCIAL INFORMATION, AND CONFIDENTIAL SCIENTIFIC RESEARCH OR DATA RELATING TO APPLICATIONS FOR RFA 10-01: CIRM EARLY TRANSLATIONAL II RESEARCH AWARDS AND AN APPLICATION FOR RFA 09-04: CIRM RESEARCH LEADERSHIP AWARDS. (HEALTH & SAFETY CODE 125290.30(D) (3) (B) AND (C)). | |
| | PUBLIC REPORT OF ANY ACTION TAKEN, IF NECESSARY, DURING CLOSED SESSION. | NONE |
| | CONSIDERATION OF ADDITIONAL AGENDA ITEM - CIRM-FUNDED ELECTRONIC JOURNAL | 10 |

BARRISTERS' REPORTING SERVICE

| | |
|---|----------|
| ACTION ITEMS | |
| 9. CONSIDERATION OF RECOMMENDATIONS FROM GRANTS WORKING GROUP REGARDING APPLICATIONS SUBMITTED IN RESPONSE TO RFA 10-01: CIRM EARLY TRANSLATIONAL II RESEARCH AWARDS. | 50 |
| A) EXTRAORDINARY PETITION APP TR2-01768 | 96 |
| B) EXTRAORDINARY PETITION APP TR2-01785 | 110 |
| C) EXTRAORDINARY PETITION APP TR2-01797 | 73 |
| D) EXTRAORDINARY PETITION APP TR2-01763 | 83 |
| 10. CONSIDERATION OF RECOMMENDATION FROM GRANTS WORKING GROUP REGARDING APPLICATION SUBMITTED IN RESPONSE TO RFA 09-04: CIRM RESEARCH LEADERSHIP AWARDS. | 45 |
| ACTION ITEMS | |
| 12. CONSIDERATION OF MINUTES FROM PREVIOUS BOARD MEETING. | 29 |
| 13. CONSIDERATION OF AMENDMENTS TO AND ADOPTION OF LOAN ADMINISTRATION POLICY. | 26 |
| 14. CONSIDERATION OF PROPOSAL FOR BOARD OPTION TO REQUEST ADDITIONAL ANALYSIS ISSUES ARISING FROM GRANTS WORKING GROUP, AS RECOMMENDED FOR APPROVAL BY THE SCIENCE SUBCOMMITTEE. | -- |
| 15. CONSIDERATION OF PROCESS FOR REVIEW OF REQUESTS FOR CHANGE IN SCOPE TO PERMIT USE OF UNUSED RESEARCH AWARD FUNDS FOR HUMAN CLINICAL TRIAL RESEARCH, WHEN HUMAN RESEARCH WAS NOT PART OF THE ORIGINAL APPLICATION, AS RECOMMENDED FOR CONSIDERATION BY THE SCIENCE SUBCOMMITTEE. | -- |
| DISCUSSION ITEMS | |
| 16. PUBLIC COMMENT. | 31, NONE |

BARRISTERS' REPORTING SERVICE

1 LOS ANGELES, CALIFORNIA; THURSDAY, OCTOBER 21, 2010

2 10:23 A.M.

3
4 CHAIRMAN KLEIN: THANK YOU. WE'VE HAD THE
5 TREMENDOUS PRIVILEGE OF LISTENING TO A STIMULATING,
6 MOTIVATIONAL, AND INSPIRING PRESENTATION ON NMO OR
7 DEVIC'S DISEASE. WE'VE TAKEN MORE TIME THAN
8 BUDGETED BECAUSE OF THE QUALITY AND IMPORTANCE OF
9 THIS PRESENTATION. AND I'M GOING TO EFFECTIVELY
10 SAVE SOME TIME IN OUR AGENDA BY COMBINING THE
11 EXECUTIVE SESSIONS FOR THE LEADERSHIP AWARD AND FOR
12 TRANSLATIONAL MEDICINE SO WE DON'T HAVE TWO
13 EXECUTIVE SESSIONS.

14 I WILL ALSO TAKE THE CHAIRMAN'S
15 PREROGATIVE OF MAKING CERTAIN THAT OUR DISCUSSION OF
16 THE LEADERSHIP AWARD IN THE PUBLIC SESSION AND THE
17 DISCUSSION OF THE TRANSLATIONAL MEDICINE IN THE
18 PUBLIC SESSION WILL BE DONE JOINTLY BEFORE THE
19 EXECUTIVE SESSION RATHER THAN HAVING ONE FOLLOW.

20 FOLLOWING THE EXECUTIVE SESSIONS, WE WILL
21 HAVE ADDITIONAL DISCUSSION ON THESE DISEASES AS WE
22 CONSIDER MOVING ANY OF THESE FROM THEIR RECOMMENDED
23 POSITIONS INTO NEW DESIGNATED POSITIONS.

24 I'M GOING TO ASK SENATOR ART TORRES WHO
25 HAS A COMMENT TO SPEAK AT THIS POINT.

BARRISTERS' REPORTING SERVICE

1 MR. TORRES: YES. TWO THINGS. THANK YOU
2 SO MUCH, SHERRY, FOR BRINGING THAT PRESENTATION TO
3 US. IT JUST UNDERLIES THE IMPORTANCE OF PATIENT
4 ADVOCATES AND TO GET THEM OUT THERE AND EXPOSE THEIR
5 STORIES AND HOPEFULLY THEIR MESSAGE AS MUCH AS WE
6 CAN.

7 I LOST A VERY DEAR FRIEND LAST NIGHT,
8 STATE SENATOR JENNY OROPEZA, AGE 53, FROM LIVER,
9 RECTAL CANCER, AND A BLOOD CLOT TOOK HER LIFE LAST
10 NIGHT AT 10 P.M. SHE WAS THE CHAIR OF THE PUBLIC
11 HEALTH COMMITTEE, SHE WAS THE CHAIR OF THE SENATE
12 REVENUE AND TAXATION COMMITTEE, AND I WILL MISS HER
13 DEEPLY, BUT WE WILL MISS HER DEEPLY BECAUSE SHE WAS
14 A TREMENDOUS FORCE IN THE SENATE FOR OUR MISSION.
15 SO GOD BLESS YOU, JENNY.

16 CHAIRMAN KLEIN: THANK YOU FOR THOSE
17 REMARKS.

18 TODAY WE ALSO HAVE THE PRIVILEGE OF A NEW
19 BOARD MEMBER. DR. SHLOMO MELMED HAS BEEN APPOINTED
20 BY THE GOVERNOR. DR. MELMED RECEIVED HIS MEDICAL
21 DEGREE WITH DISTINCTION FROM THE UNIVERSITY OF
22 CAPETOWN IN 1970. HE'S BEEN AT CEDARS-SINAI SINCE
23 1980. HE'S NOW A SENIOR VICE PRESIDENT FOR ACADEMIC
24 AFFAIRS AND DEAN OF THE MEDICAL FACULTY. HE IS ALSO
25 A PROFESSOR AND ASSOCIATE DEAN OF THE UNIVERSITY OF

BARRISTERS' REPORTING SERVICE

1 CALIFORNIA LOS ANGELES, UCLA. AND IN THAT SENSE WE
2 SHARE HIS HOSPITALITY TODAY. AND HE IS DIRECTOR OF
3 THE RESEARCH INSTITUTE AT CEDARS-SINAI MEDICAL
4 CENTER. HE HAS A VERY EXTENSIVE BIOGRAPHY WHICH WE
5 BE WILL POSTING IN ADDITION TO DISTRIBUTING IT TO
6 THE BOARD MEMBERS. BUT, DR. MELMED, THANK YOU FOR
7 SERVING WITH US. IT IS A GREAT HONOR TO HAVE YOU ON
8 THE BOARD. LET US WELCOME HIM.

9 (APPLAUSE.)

10 CHAIRMAN KLEIN: IN ORDER NOT TO HAVE THE
11 LEADERSHIP AWARD FOLLOW A GREAT DEAL OF INFORMATION
12 ON THE TRANSLATIONAL RESEARCH AWARDS, AFTER WE DO
13 THE PLEDGE OF ALLEGIANCE AND THE ROLL CALL, AS A
14 MATTER OF INFORMATION, I WILL LEAD WITH THE
15 LEADERSHIP AWARD. WE WILL TRY AND PACE OURSELVES.
16 AND, DR. TROUNSON, BECAUSE WE HAVE SOME BOARD
17 MEMBERS THAT NEED TO LEAVE AT A CERTAIN POINT, I
18 WANT TO SEE WHERE WE CAN GO TIMEWISE IN TERMS OF
19 SCHEDULING THE PRESIDENT'S REPORT. YOU ALWAYS HAVE
20 THESE FABULOUS PRESENTATIONS THAT EVERYONE LOOKS
21 FORWARD TO, BUT WE'RE GOING TO MAKE SURE THIS
22 HAPPENS, BUT I WANT TO MAKE SURE WE GET SOME KEY
23 ITEMS DONE WITHIN OUR QUORUM. AND SO WE NEED TO
24 PACE OURSELVES IF THAT'S ACCEPTABLE. ALL RIGHT.
25 THANK YOU.

BARRISTERS' REPORTING SERVICE

1 MELISSA KING, WILL YOU LEAD US IN THE
2 PLEDGE OF ALLEGIANCE.

3 (THE PLEDGE OF ALLEGIANCE.)

4 CHAIRMAN KLEIN: ROLL CALL.

5 MS. KING: ROBERT BIRGENEAU. FLOYD BLOOM.
6 GORDON GILL FOR DAVID BRENNER.

7 DR. GILL: HERE.

8 MS. KING: WILLIAM BRODY. JACOB LEVIN FOR
9 SUSAN BRYANT.

10 DR. LEVIN: HERE.

11 MS. KING: MARCY FEIT. MARCY IS JOINING
12 US BY PHONE. MARCY, ARE YOU THERE? COME BACK TO
13 YOU.

14 MICHAEL FRIEDMAN. LEEZA GIBBONS.

15 MS. GIBBONS: HERE.

16 MS. KING: MICHAEL GOLDBERG.

17 MR. GOLDBERG: HERE.

18 MS. KING: SAM HAWGOOD. BOB KLEIN.

19 CHAIRMAN KLEIN: HERE.

20 MS. KING: SHERRY LANSING.

21 MS. LANSING: HERE.

22 MS. KING: TED LOVE. SHLOMO MELMED.

23 DR. MELMED: HERE.

24 MS. KING: ED PENHOET. PHIL PIZZO.

25 DR. PIZZO: HERE.

BARRISTERS' REPORTING SERVICE

1 MS. KING: KEN BURTIS FOR CLAIRE POMEROY.

2 DR. BURTIS: HERE.

3 MS. KING: FRANCISCO PRIETO.

4 DR. PRIETO: HERE.

5 MS. KING: CARMEN PULIAFITO.

6 DR. PULIAFITO: HERE.

7 MS. KING: ROBERT QUINT.

8 DR. QUINT: HERE.

9 MS. KING: JEANNIE FONTANA FOR JOHN REED.

10 DUANE ROTH.

11 MR. ROTH: HERE.

12 MS. KING: JOAN SAMUELSON. DAVID

13 SERRANO-SEWELL. JEFF SHEEHY.

14 MR. SHEEHY: HERE.

15 MS. KING: JON SHESTACK. OSWALD STEWARD.

16 ART TORRES.

17 MR. TORRES: HERE.

18 MS. KING: JAMES ECONOMOU FOR EUGENE

19 WASHINGTON.

20 DR. ECONOMOU: HERE.

21 CHAIRMAN KLEIN: THANK YOU VERY MUCH. IS

22 IT MY UNDERSTANDING WE HAVE A QUORUM?

23 MS. KING: WE DO NOT, BUT WITH THE MEMBERS

24 THAT ARE PRESENT BUT NOT YET IN THE ROOM, WE SHOULD.

25 CHAIRMAN KLEIN: WE HAVE TWO MEMBERS THAT

BARRISTERS' REPORTING SERVICE

1 JUST CAME IN FROM THE BACK.

2 MS. KING: TED LOVE AND JEANNIE FONTANA.

3 CHAIRMAN KLEIN: THIS IS A HIGHLY

4 ORCHESTRATED DELIVERY SYSTEM OF A QUORUM. ALL

5 RIGHT.

6 WE ARE GOING TO BEGIN OUR DISCUSSIONS IF

7 WE COULD. DR. TROUNSON, IF YOU COULD DO THE GENERAL

8 DESCRIPTION OF THE LEADERSHIP AWARD, AND THEN WE'LL

9 GO INTO THE GENERAL DESCRIPTION OF THE TRANSLATIONAL

10 II RESEARCH AWARDS.

11 DR. TROUNSON: SO DR. MICHAEL YAFFE WILL

12 PROVIDE THAT.

13 DR. YAFFE: MR. CHAIRMAN, AND MEMBERS OF

14 THE COMMITTEE, I PRESENT FOR YOUR CONSIDERATION

15 RECOMMENDATIONS OF THE GRANTS WORKING GROUP ON THE

16 RESEARCH LEADERSHIP AWARDS. THIS IS ROUND 2, AGENDA

17 ITEM NO. 10 IN YOUR BOOKS.

18 I WILL GO RATHER QUICKLY IN THE INTEREST

19 OF TIME AND JUST REMIND YOU THAT THE GOALS OF THIS

20 AWARD ARE TO FACILITATE THE RECRUITMENT TO

21 CALIFORNIA OF THE MOST PRODUCTIVE AND PROMISING

22 EARLY TO MIDCAREER SCIENTISTS IN STEM CELL BIOLOGY

23 AND REGENERATIVE MEDICINE. AND ONCE WE RECRUIT

24 THESE INDIVIDUALS SUCCESSFULLY, TO SUPPORT ROBUST

25 AND INNOVATIVE RESEARCH PROGRAMS FOCUSED ON

BARRISTERS' REPORTING SERVICE

1 FUNDAMENTAL STUDIES OF PLURIPOTENT AND PROGENITOR
2 STEM CELL BIOLOGY AND ALSO TRANSLATIONAL STUDIES
3 LEADING TO INNOVATIVE STEM CELL-BASED THERAPIES FOR
4 DISEASES AND INJURY.

5 THE PROGRAM DETAILS ARE THAT IT'S OPEN TO
6 NONPROFIT CALIFORNIA INSTITUTIONS. CANDIDATES MUST
7 HAVE BEEN INDEPENDENT FOR AT LEAST THREE YEARS.
8 CANDIDATES MUST BE UNDER CONSIDERATION FOR
9 RECRUITMENT TO AN ELIGIBLE FULL-TIME POSITION HERE
10 IN CALIFORNIA. INDIVIDUAL INSTITUTIONS MAY RECEIVE
11 ONLY ONE AWARD DURING THE COURSE OF THIS PROGRAM,
12 AND UP TO EIGHT AWARDS WILL BE MADE OVER A TWO-YEAR
13 PERIOD. ONE AWARD YOU HAVE ALREADY MADE. THIS IS
14 BRINGING TO YOU YOUR CONSIDERATION OF THE SECOND
15 AWARD.

16 THE AWARD FEATURES ARE RESEARCH SUPPORT
17 FOR UP TO SIX YEARS. AWARDEES MUST COMMIT AT LEAST
18 75 PERCENT OF THEIR TIME TO STEM CELL AND
19 REGENERATIVE MEDICINE-RELATED RESEARCH. ELIGIBLE
20 COSTS WOULD INCLUDE THE PI'S SALARY, LABORATORY
21 OPERATIONS, LAB RELOCATION, AND EQUIPMENT WHICH CAN
22 BE MATCHED BY THE INSTITUTION, MUST BE MATCHED,
23 FACILITIES AND INDIRECT COSTS AS USUAL.

24 REVIEW CRITERIA I THINK WE'VE GONE OVER
25 BEFORE. LET ME JUST HIGHLIGHT RESEARCH VISION AND

BARRISTERS' REPORTING SERVICE

1 PLANS WHERE WE CONSIDER SIGNIFICANCE AND INNOVATION.
2 PI ACCOMPLISHMENTS AND POTENTIAL AND THE
3 INSTITUTIONAL COMMITMENT AND THE ENVIRONMENT,
4 RESEARCH ENVIRONMENT.

5 SO THIS IS CYCLE 2. THE APPLICATION
6 DEADLINE WAS IN MID-JUNE. THE GRANT WAS REVIEWED IN
7 JULY. THIS IS LA1-2068. TITLE IS "DEVELOPMENT OF
8 CELLULAR THERAPIES FOR RETINAL DISEASES." YOU SEE
9 THE REQUESTED FUNDS. THE SCORE OF THIS WAS 85.
10 IT'S RECOMMENDED FOR FUNDING. MR. SHEEHY MAY HAVE
11 COMMENTS OR MR. KLEIN.

12 CHAIRMAN KLEIN: JEFF, WOULD YOU LIKE TO
13 MAKE ANY COMMENTS?

14 MR. SHEEHY: I DON'T THINK SO. I THINK
15 THIS IS PRETTY STRAIGHTFORWARD. THANK YOU.

16 CHAIRMAN KLEIN: DR. YAFFE, DO WE HAVE A
17 KNOWLEDGE OF THE STATUS OF THE COMMITMENT OF THE
18 PROPOSED RECIPIENT?

19 DR. YAFFE: WE KNOW THAT THE COMMITMENT IS
20 VERY STRONG. WE DELAYED BRINGING THIS TO YOU SO
21 THAT THE CANDIDATE COULD LINE UP AND FINALIZE A
22 NUMBER OF FEATURES. CERTAINLY THE CANDIDATE IS
23 WAITING FOR THIS BOARD'S DECISION ON THEIR AWARD
24 BEFORE, I THINK, MAKING FINAL COMMITMENTS. ALSO HAD
25 AN INDICATION FROM THE INSTITUTION THAT THEY ARE

BARRISTERS' REPORTING SERVICE

1 EXTREMELY OPTIMISTIC THAT THIS IS GOING TO GO
2 FORWARD.

3 CHAIRMAN KLEIN: THANK YOU. AND THIS HAS
4 CERTAINLY BEEN VERY SUCCESSFUL IN RECRUITING
5 WORLD-CLASS CANDIDATES. DR. TROUNSON, WOULD YOU
6 LIKE TO MAKE A STATEMENT?

7 DR. TROUNSON: THANK YOU VERY MUCH, CHAIR.
8 I MET WITH THE INSTITUTION, AND THEY PROVIDED ALL OF
9 THE REQUIREMENTS THAT THE CANDIDATE NEEDED. THE
10 CANDIDATE WAS MADE AWARE THAT WE WOULD BE
11 ANNOUNCING, IF THE AWARD WAS WON, HIS NAME IN
12 RELATIONSHIP TO THIS.

13 I THINK THIS PARTICULAR CANDIDATE IS
14 CLEARLY ONE OF THE BEST SCIENTISTS IN THE WORLD.
15 AND I DON'T THINK THERE'S ANY DOUBT AMONGST PEOPLE
16 THAT I KNOW, COLLEAGUES IN THE SPACE. I THINK IT'S
17 A TERRIFIC MATCH FOR THE INSTITUTION AND THE
18 INSTITUTIONS THAT ARE AFFILIATED WITH THIS
19 APPOINTMENT. AND I THINK IT WILL ATTRACT A LOT OF
20 ENERGY IN THE AREAS OF TRANSLATION AROUND THE WORK
21 OF THIS INDIVIDUAL, AND IT WILL BE SOMETHING THAT
22 WE'LL BE INCREDIBLY PROUD OF.

23 SO I JOIN THE GRANTS WORKING GROUP IN A
24 VERY STRONG ENDORSEMENT FOR THIS PARTICULAR
25 INDIVIDUAL. AND WE'RE QUITE HAPPY TO RELEASE THAT

BARRISTERS' REPORTING SERVICE

1 NAME IF THAT'S WHAT YOU WANT TO DO. AND THE
2 INDIVIDUAL IS AWARE AND SO IS THE INSTITUTION AWARE
3 THAT THAT WILL BE DONE ANYWAY TODAY IF IT WAS
4 AWARDED.

5 CHAIRMAN KLEIN: CERTAINLY. JUST KEEPING
6 WITH OUR PROCESS AND MAKING CERTAIN IN OUR PROCESS
7 THAT THERE WILL BE INDIVIDUALS THAT COME BEFORE US
8 THAT ARE NOT AWARDED, AND WE DON'T ANNOUNCE THE NAME
9 UNTIL AFTER THE EXECUTIVE SESSION. WE GET A SENSE
10 PENDING THE VOTE; AND IF THE VOTE IS POSITIVE, WE
11 WILL BE ANNOUNCING THIS NAME OF WHAT IS CLEARLY, AS
12 YOU SAY, ONE OF THE WORLD'S LEADING SCIENTISTS IN
13 THE FIELD. SO I THINK WE'LL ANNOUNCE IT AT THAT
14 TIME, MR. PRESIDENT.

15 I WOULD ALSO LIKE TO SEE IF THERE'S ANY
16 PUBLIC COMMENT OR OTHER BOARD COMMENTS ON THIS
17 APPLICATION. SEEING NO PUBLIC COMMENT, I WILL ASK
18 THAT -- WE ARE GOING TO ANNOUNCE THE EXECUTIVE
19 SESSIONS STATUTORY PROVISIONS FOR THIS AT THE SAME
20 TIME AS WE ANNOUNCE THE STATUTORY PROVISIONS FOR THE
21 FOLLOWING ITEM.

22 WE WILL MOVE IMMEDIATELY INTO ITEM 9,
23 EARLY TRANSLATION II RESEARCH AWARDS. AND WE'RE
24 GOING TO DO A PUBLIC PRESENTATION OF THIS ITEM, THE
25 CRITERIA, THE RELATIVE RANKINGS. TRY AND

BARRISTERS' REPORTING SERVICE

1 IDENTIFY -- REMEMBER FOR ALL THE BOARD MEMBERS, LET
2 US TRY AND IDENTIFY IN THE PUBLIC SESSION IF WE CAN
3 ALL OF THE APPLICATIONS BY NUMBER THAT WE'D LIKE TO
4 HAVE DISCUSSED, SEE IF THERE'S ANY GENERAL COMMENTS
5 THE SCIENTIFIC STAFF WANTS TO MAKE ABOUT THOSE THAT
6 ARE NONPROPRIETARY, GET ANY PUBLIC COMMENT ON ANY
7 APPLICATION, THEN WE WILL GO INTO EXECUTIVE SESSION
8 AND COME BACK AND HAVE DRILLED-DOWN DISCUSSIONS ON
9 ANY APPLICATIONS AND THE APPROVALS OF APPLICATIONS
10 THAT WILL MOVE FORWARD.

11 WITH THAT, DR. TROUNSON, HOW WOULD YOU
12 LIKE THIS TO BE PRESENTED?

13 DR. TROUNSON: I'D LIKE TO HAVE IT
14 PRESENTED BY DR. LILA COLLINS IF THAT'S OKAY WITH
15 YOU.

16 CHAIRMAN KLEIN: THANK YOU. DR. COLLINS.

17 DR. COLLINS: THANK YOU. GOOD MORNING,
18 MR. CHAIRMAN AND LADIES AND GENTLEMEN OF THE BOARD,
19 CIRM MEMBERS, AND GUESTS.

20 TODAY I'D LIKE TO REFRESH YOU FIRST
21 REGARDING OUR SECOND CALL OF THE EARLY TRANSLATIONAL
22 RFA. AND THEN I'D LIKE TO PRESENT TO YOU THE
23 RECOMMENDATIONS FROM OUR RECENT GRANTS WORKING GROUP
24 MEETING LAST MONTH.

25 SINCE WE HAVEN'T DISCUSSED THIS RFA IN

BARRISTERS' REPORTING SERVICE

1 DETAIL SINCE CONCEPT CLEARANCE LAST DECEMBER, FIRST
2 I'D LIKE TO ORIENT YOU WHERE THE RFA FALLS ON CIRM'S
3 DEVELOPMENTAL PIPELINE. AND REALLY THE GOAL OF THIS
4 RFA IS TO BEGIN TO TRANSLATE BASIC STEM CELL
5 DISCOVERIES TO THE POINT WHERE THEY CAN ULTIMATELY
6 BE DEVELOPED INTO TREATMENTS FOR HUMAN DISEASE.

7 NOW, EARLY TRANSLATIONAL II WILL SUPPORT
8 PROGRESSION OF PROJECTS UP TO THE DEVELOPMENT
9 CANDIDATE STAGE. AND A DEVELOPMENT CANDIDATE IS A
10 POTENTIAL THERAPY THAT'S READY FOR IND-ENABLING
11 PRECLINICAL DEVELOPMENT. SO HOPEFULLY BY THE END OF
12 THE EARLY TRANSLATIONAL II FUNDING PERIOD, CIRM WILL
13 HAVE DEVELOPMENT CANDIDATES READY TO APPLY FOR
14 FUNDING UNDER RFA'S SUCH AS DISEASE TEAMS II. AND
15 EXAMPLES OF THE TYPES OF ACTIVITIES TO BE PURSUED
16 UNDER THESE AWARDS WOULD INCLUDE PRECLINICAL PROOF
17 OF CONCEPT STUDIES TO DEMONSTRATE DISEASE MODIFYING
18 ACTIVITY IN RELEVANT MODELS AS WELL AS PROCESS
19 DEVELOPMENT AND ASSAY DEVELOPMENT ACTIVITIES.

20 SO IN DECEMBER YOU APPROVED TWO AWARD
21 TYPES. AND BY FAR THE MOST COMPREHENSIVE AND FIRST
22 AWARD TYPE I'D LIKE TO DISCUSS IS THE DEVELOPMENT
23 CANDIDATE AWARD CLASS, AND YOU MAY HEAR ME SLIP INTO
24 CALLING THESE DC'S. A DEVELOPMENT CANDIDATE IN MY
25 MIND IS ACTUALLY FAIRLY ADVANCED. AS I MENTIONED,

BARRISTERS' REPORTING SERVICE

1 BY THE END OF THE AWARD, WE HOPE TO HAVE DEVELOPMENT
2 CANDIDATES READY FOR IND-ENABLING STUDIES. AND
3 BASICALLY THAT MEANS THAT YOU HAVE A CANDIDATE
4 THERAPY WHERE IT'S KNOWN TO BE EFFECTIVE IN MODELS
5 OF DISEASE OR INJURY. IT CAN BE MADE CONSISTENTLY
6 AND AT ADEQUATE SCALE AND PURITY TO SUPPORT
7 PRECLINICAL STUDIES, IS FAIRLY WELL CHARACTERIZED,
8 AND THERE ARE IN PLACE ASSAYS TO CHARACTERIZE
9 IDENTITY, PURITY, AND SOME PRELIMINARY POTENCY
10 ACTIVITIES. IN ADDITION, WOULD LIKE TO HAVE SOME
11 IDEA OF MECHANISM OF ACTION OR HOW THE CANDIDATE
12 THERAPY WORKS.

13 SO IN ALL HONESTY, FOR A STEM CELL-BASED
14 THERAPY TO ACHIEVE THIS MILESTONE IN THREE YEARS,
15 IT'S QUITE LIKELY THAT SIGNIFICANT, COMPELLING
16 PRELIMINARY DATA WILL NEED TO BE IN PLACE AT THE
17 TIME OF APPLICATION. AND I'LL GO OVER SOME OF THE
18 KEY REQUIREMENTS OF DEVELOPMENT CANDIDATES IN THE
19 NEXT SLIDE.

20 BEFORE I DO THAT, I'D LIKE TO DISCUSS THE
21 SECOND CLASS OF AWARDS TO BE FUNDED UNDER THIS RFA,
22 AND THOSE ARE THE DEVELOPMENT CANDIDATE FEASIBILITY
23 AWARDS. AND YOU CAN THINK OF THESE AS KIND OF A
24 BRIDGE TO SEE IF YOUR STEM CELL DISCOVERY MIGHT BE
25 SUITABLE FOR FURTHER DEVELOPMENT INTO A DEVELOPMENT

BARRISTERS' REPORTING SERVICE

1 CANDIDATE.

2 SO THE GOAL IS REALLY TO DETERMINE THE
3 FEASIBILITY OF A CANDIDATE APPROACH. FOR EXAMPLE, A
4 COMPANY MIGHT LIKE TO SEE SOME ACTIVITY OF RESEARCH
5 GRADE MATERIAL IN A DISEASE MODEL BEFORE FURTHER
6 COMMITTING ADDITIONAL RESOURCES TOWARDS ACTIVITIES
7 LIKE PROCESS DEVELOPMENT.

8 ANOTHER EXAMPLE MIGHT BE A CANDIDATE STEM
9 CELL THERAPY THAT YOU KNOW WORKS IN DISEASE MODELS,
10 BUT YOU AREN'T SURE IF IT CAN BE PREPARED AT
11 ADEQUATE SCALE OR IN A METHOD THAT'S COMPLIANT WITH
12 REGULATORY REQUIREMENTS. SO THOSE WOULD BE IDEAL
13 SORT OF DEVELOPMENT CANDIDATE FEASIBILITY STUDIES.

14 SO I THINK OF THESE AS SORT OF PRODUCT
15 ORIENTED-RESEARCH AWARDS WHERE THE FULL SPECTRUM OF
16 ACTIVITIES TO ACHIEVE A DEVELOPMENT CANDIDATE DON'T
17 NEED TO BE COMPLETED, BUT YOU STILL WANT TO EVALUATE
18 A POTENTIAL THERAPY. SO THESE ARE A BIT MORE
19 FLEXIBLE THAN THE DEVELOPMENT CANDIDATE AWARDS IN
20 THAT ONLY A SUBSET OF THE ACTIVITIES TO ACHIEVE A
21 DEVELOPMENT CANDIDATE ARE REQUIRED.

22 THESE ARE THE KEY REQUIREMENTS OF
23 DEVELOPMENT CANDIDATES THAT WE'D LIKE TO SEE AT THE
24 END OF THE AWARD PERIOD, AND WE'RE LOOKING REALLY AT
25 FIVE KEY AREAS. WE WANT CANDIDATES THAT ARE, OF

BARRISTERS' REPORTING SERVICE

1 COURSE, SUITABLE FOR HUMAN USE AND COMPATIBLE WITH
2 REGULATORY PROGRESSION. THAT MEANS THAT ULTIMATELY
3 THESE WILL NEED TO BE PREPARED UNDER GMP, BUT NOT
4 DURING THE COURSE OF THIS AWARD. WE'RE JUST LOOKING
5 THAT THOSE PROCESSES BE COMPATIBLE.

6 AS FAR AS PRECLINICAL ACTIVITY, WE'RE
7 LOOKING TO SEE THAT THE CANDIDATES ARE EFFECTIVE IN
8 DISEASE MODELS, AND WE'D LIKE TO HAVE AN IDEA OF HOW
9 THEY'LL BE DELIVERED AS WELL AS SOME MECHANISM OF
10 ACTION. WE'RE SUPPORTING EARLY PROCESS DEVELOPMENT
11 EFFORTS. WHAT WE'RE LOOKING FOR THERE IS CONSISTENT
12 PRODUCTION AT HIGH PURITY. AND, AGAIN, WE'D LIKE TO
13 SEE A PROCESS THAT CAN BE SCALED UP AND ULTIMATELY
14 BE MADE COMPATIBLE WITH GMP.

15 AS FAR AS ASSAY DEVELOPMENT, WE'RE LOOKING
16 NOT FOR FINALIZED, VALIDATED ASSAYS AT THIS STAGE,
17 BUT RESEARCH GRADE ASSAYS TO ASSESS SOME IDENTITY
18 AND COMPOSITION OF THE CANDIDATE.

19 AND FINALLY, SOME ADDITIONAL PRECLINICAL
20 CHARACTERIZATION IN TERMS OF BIODISTRIBUTION OR
21 FORMULATION SHOULD ALSO BE DETERMINED UNDER THIS
22 RFA.

23 WITH THIS IN MIND, I'D LIKE TO BRIEFLY
24 HIGHLIGHT THE REVIEW CRITERIA SO WE CAN DISCUSS HOW
25 THESE PROPOSALS WERE EVALUATED. AND THESE ARE

BARRISTERS' REPORTING SERVICE

1 FAMILIAR REVIEW CRITERIA. SO I'D LIKE TO JUST
2 HIGHLIGHT TWO KEY AREAS THAT I THINK ARE
3 PARTICULARLY RELEVANT TO THIS RFA. AND THE FIRST
4 I'D LIKE TO DISCUSS IS FEASIBILITY. AND THAT WOULD
5 BE BECAUSE WE HAVE TWO DIFFERENT AWARD CLASSES AND
6 THEY WERE EVALUATED DIFFERENTLY. AND REALLY THE
7 FEASIBILITY IS THE AREA WHERE THEY DIFFERED, SO I'D
8 LIKE TO DRAW YOUR ATTENTION.

9 AND ONE THING THAT WE DID FOR THE
10 DEVELOPMENT CANDIDATE AWARDS, BECAUSE THERE'S A
11 DEFINED SET OF END POINTS THAT WE WANT FOR THESE,
12 EVALUATION OF THESE AWARDS WERE FOCUSED, IN ADDITION
13 TO ON THE PRELIMINARY DATA AND THE QUALITY OF THE
14 PLAN, THEY WERE FOCUSED ON COMPLETENESS. IN ORDER
15 TO ACHIEVE THAT, IN ADDITION TO BEING EVALUATED BY
16 THE GRANTS WORKING GROUP MEMBERS WITH EXPERTISE IN
17 THE AREA OF DISEASES OR INJURIES BEING STUDIED AND
18 THE APPROACHES BEING TAKEN, THESE WERE ALSO
19 EVALUATED BY SPECIALISTS WHO WERE FAMILIAR WITH THE
20 FDA REGULATORY PRACTICES AND CELL THERAPY
21 DEVELOPMENT, AS WE SAW FOR DISEASE TEAMS I.

22 SO FOR THE DEVELOPMENT CANDIDATE
23 FEASIBILITY AWARDS, THESE WERE EVALUATED ON THE
24 PRELIMINARY DATA, OF COURSE, AND THE QUALITY OF THE
25 PLAN, BUT THE COMPLETENESS WASN'T A REQUIREMENT FOR

BARRISTERS' REPORTING SERVICE

1 THESE. AND THE TWO AWARDS WERE REVIEWED SEPARATELY
2 AND ON DIFFERENT DAYS.

3 AND I'D ALSO LIKE TO HIGHLIGHT UNDER
4 OBJECTIVES, THE TARGET PRODUCT PROFILE THAT WOULD BE
5 ULTIMATELY THE PATIENT POPULATION THAT WOULD BE
6 TARGETED BY THESE DRUGS IN ADDITION TO WE WANT TO
7 SEE A MEANINGFUL IMPACT ON DISEASE, SO THESE WERE --
8 ALSO PARTICULAR ATTENTION WAS GIVEN TO THE
9 APPROPRIATENESS OF DISEASE MODEL SELECTED, AND THE
10 REMAINING CRITERIA, I THINK, ARE FAMILIAR TO US. SO
11 I'D LIKE TO MOVE TO THE NEXT SLIDE.

12 AT CONCEPT CLEARANCE YOU APPROVED A
13 MAXIMUM BUDGET OF \$60 MILLION FOR DEVELOPMENT
14 CANDIDATE AWARDS AND \$20 MILLION FOR THE DEVELOPMENT
15 CANDIDATE FEASIBILITY AWARDS FOR A PROGRAM MAXIMUM
16 COST OF \$80 MILLION.

17 AND NOW I'D LIKE TO MOVE TO THE RESULTS OF
18 THE WORKING GROUP EVALUATION. SO I'VE INCLUDED
19 THESE HISTOGRAMS TO AGAIN REITERATE THAT THE TWO
20 AWARDS CLASSES WERE REVIEWED AND RECOMMENDED
21 SEPARATELY, AND THE TOP HISTOGRAM IS THE SCORES OF
22 THE DEVELOPMENT CANDIDATE APPLICATIONS. AND YOU CAN
23 SEE THOSE LITTLE NUMBERS, THAT THE DEVELOPMENT
24 CANDIDATE RECOMMENDATION LINE WAS SET AT ABOUT 74
25 AND UP; WHEREAS, THE DEVELOPMENT CANDIDATE

BARRISTERS' REPORTING SERVICE

1 FEASIBILITY AWARDS WERE RECOMMENDED AT A SLIGHTLY
2 LOWER SCORE OF 71 AND UP.

3 AND SO OUR FINAL TOTAL RECOMMENDATIONS ARE
4 ON THE NEXT SLIDE FOR 12 DEVELOPMENT CANDIDATE
5 AWARDS AND SIX DEVELOPMENT CANDIDATE FEASIBILITY
6 AWARDS FOR A TOTAL OF \$66 MILLION. AS YOU MAY
7 RECALL FROM CONCEPT CLEARANCE, THE ACHIEVEMENT OF
8 PLURIPOTENT STEM CELL-DERIVED DEVELOPMENT CANDIDATES
9 WERE A PRIORITY FOR THIS RFA. AND OF THE TOTAL
10 RECOMMENDED AWARDS, EIGHT ARE UTILIZING PLURIPOTENT
11 STEM CELLS.

12 AND WE'LL DISCUSS INDIVIDUAL APPLICATIONS
13 NEXT. BUT IF YOU LOOK IN YOUR BINDERS, YOU SHOULD
14 HAVE A SUMMARY TABLE WITH BRIEF DESCRIPTORS OF THE
15 DISEASES ADDRESSED AS WELL AS THE SELECTED
16 APPROACHES FOR ALL OF THE APPLICATIONS IN THIS
17 ROUND. AND THAT CONCLUDES MY SUMMARY.

18 CHAIRMAN KLEIN: ALL RIGHT. AND COULD WE
19 IDENTIFY THOSE APPLICATIONS THAT HAVE EXTRAORDINARY
20 PETITIONS FILED SO THAT WE CAN JUST ASK THE BOARD
21 MEMBERS IF THEY WOULD LIKE TO HAVE ANY OF THOSE
22 DISCUSSED? AND JUST TELL US WHAT THE SUBJECT MATTER
23 IS OF THE APPLICATION, PLEASE.

24 DR. SAMBRANO: SO THE PETITIONS CAME IN
25 FOR APPLICATION 1763, 1797, 1768, AND 1785. 1763

BARRISTERS' REPORTING SERVICE

1 AND 1797 RELATE TO CANCER, LEUKEMIAS. 1768 HAS TO
2 DO WITH EYE DISEASE, AND 1785 WITH SPINAL CORD
3 INJURY AND RECOVERY OF BLADDER CONTROL.

4 MS. KING: BOARD MEMBERS HAVE BOTH THE
5 EXTRAORDINARY PETITIONS THEMSELVES AND THE STAFF
6 RESPONSES TO THEM IN YOUR BINDERS. IN THE POCKET IN
7 THE FRONT OF YOUR BINDERS, YOU HAVE THOSE FOUR
8 DOCUMENTS.

9 CHAIRMAN KLEIN: SO, DR. SAMBRANO, 1763,
10 1768, AND WHAT WAS 1797 AND 1785? WHAT WERE THOSE
11 SUBJECT MATTERS?

12 DR. SAMBRANO: 1797 IS LEUKEMIAS, AS WELL
13 AS 1768.

14 CHAIRMAN KLEIN: OKAY. MR. SHEEHY, IF
15 YOU'D PLEASE FOR GENERAL COMMENTS AS WELL AS ANY
16 SPECIFIC COMMENTS YOU'D LIKE TO MAKE.

17 MR. SHEEHY: WELL, FIRST, I DO THINK WE
18 SHOULD TAKE UP 1768. AND IT WOULD BE HELPFUL IF YOU
19 COULD DISTINGUISH BETWEEN WHICH ONES ARE DEVELOPMENT
20 CANDIDATES AND WHICH ONES ARE DEVELOPMENT CANDIDATE
21 FEASIBILITY.

22 DR. SAMBRANO: SURE. SO IT'S UP ON THE
23 CHART, AND I THINK IT SHOULD BE ALSO IN YOUR
24 NOTEBOOKS IN TERMS OF THE TYPE. JUST I'D READ THEM
25 OUT. OF THE ONES THAT DID RECEIVE PETITIONS, 1763

BARRISTERS' REPORTING SERVICE

1 IS A DEVELOPMENT CANDIDATE, 1797 IS A DEVELOPMENT
2 CANDIDATE, 1768 IS A FEASIBILITY, AND 1785 IS ALSO A
3 FEASIBILITY.

4 MR. SHEEHY: SO WOULD THE CHAIR ALLOW ME
5 SOME GENERIC COMMENTS ABOUT THE REVIEW?

6 CHAIRMAN KLEIN: ABSOLUTELY. AS THE VICE
7 CHAIR OF THE WORKING GROUP, PLEASE GIVE US SOME
8 FRAMEWORK FOR THIS ENTIRE REVIEW.

9 MR. SHEEHY: WELL, FIRST OF ALL, I THOUGHT
10 WE HAD AN OUTSTANDING PROGRAMMATIC REVIEW. AND I
11 ALWAYS THINK IT'S GOOD WHEN THEY DON'T RUN DOWN
12 STRAIGHT IN NUMERICAL ORDER, KIND OF INDICATES THAT
13 THEY WERE VERY THOUGHTFUL AND WERE MOVING THINGS
14 AROUND WITH SOME REAL ATTENTIVENESS.

15 I WOULD LIKE TO NOTE THAT, AT LEAST FROM
16 MY PERSPECTIVE, THAT THE DEVELOPMENTAL CANDIDATE
17 REVIEW, I THOUGHT, WENT REALLY WELL. IT WAS
18 INCREDIBLY RIGOROUS. THE GRANTS THAT MOVED FORWARD,
19 IN FACT, WERE AHEAD OF WHAT WE INITIALLY TRIED TO
20 SET OUT. WE GOT 12 WHEN WE WERE LOOKING FOR TEN.
21 AND FRANKLY, AS A PERCENTAGE OF APPLICATIONS, IT'S
22 EVEN A BIT HIGHER THAN WHAT WE USUALLY DO.

23 HOWEVER, ON THE DISEASE CANDIDATE
24 FEASIBILITY AWARDS, I THINK I DID FEEL LIKE THAT
25 THERE WAS SORT OF AN OVERHANG. WE DID THE

BARRISTERS' REPORTING SERVICE

1 DEVELOPMENT CANDIDATE AWARDS FIRST WITH THE
2 COMPLETENESS, A REAL RIGOR, LIKE, YOU ARE AT THE END
3 OF THIS PROCESS GOING TO HAVE A CANDIDATE, A PRODUCT
4 THAT YOU ARE GOING TO BE ABLE TO MOVE FORWARD WITH.
5 AND I FELT LIKE THERE WAS ALMOST A HANGOVER FROM
6 THAT ON THE DISEASE CANDIDATE FEASIBILITY AWARDS,
7 WHICH IS REFLECTED IN THE FACT THAT WE ONLY HAVE
8 FIVE GOING FORWARD.

9 AND SO ONE OF THE REASONS WHY I THINK 1768
10 IS INTERESTING IS THAT IT IS, FRANKLY, THE HIGHEST
11 DCF THAT DIDN'T MAKE IT. AND SO I THINK, AT LEAST
12 FOR ME, THOSE ARE THE ONES, IF I'M GOING TO GIVE
13 SOMETHING A SECOND LOOK, THAT'S WHERE I WOULD BE
14 LOOKING BECAUSE I DON'T THINK -- I THOUGHT THE
15 DEVELOPMENT CANDIDATES, THAT WAS SO CLEAR AND PEOPLE
16 WERE -- WE HAD REGULATORY SPECIALISTS. I APPLAUD
17 STAFF FOR BRINGING THE REGULATORY SPECIALISTS IN SO
18 THAT PEOPLE WERE REALLY CLEAR ABOUT HEADING DOWN A
19 PRODUCT PATHWAY.

20 BUT THE DEVELOPMENT CANDIDATE FEASIBILITY,
21 THAT KIND OF RIGOR KIND OF BLED OVER INTO THAT
22 ANALYSIS. AND I'M NOT SURE THAT THERE WERE NOT SOME
23 INTERESTING PROJECTS IN THAT WITH SOME INTERESTING
24 CANDIDATES THAT WERE A LITTLE BIT EARLIER IN THE
25 DEVELOPMENTAL PATHWAY THAT WE MIGHT WANT TO TAKE A

BARRISTERS' REPORTING SERVICE

1 LOOK AT.

2 CHAIRMAN KLEIN: ALL RIGHT. DR. TROUNSON.

3 DR. TROUNSON: TOTALLY DIFFERENT MATTER,
4 BUT, CHAIR, I THINK THE BOARD SHOULD DISCUSS 1789
5 AND 1857 BECAUSE THE GRANTS WORKING GROUP HAD SOME
6 VIEWS ABOUT THEIR SUPPORT OF THOSE TWO PROJECTS, AND
7 I THINK SOME REVISIONS OF THE TOTAL PROJECTS, THEY
8 MADE SOME RECOMMENDATIONS ABOUT WHAT MIGHT BE
9 REVISED IN THOSE PROJECTS. SO I THINK THEY'LL NEED
10 TO BE DISCUSSED BECAUSE OF THOSE RECOMMENDATIONS
11 FROM THE GRANTS WORKING GROUP REVIEW.

12 SO IF YOU DON'T MIND MAKING A NOTE OF
13 THESE, WE'LL EXPLAIN THE ISSUES TO YOU IN THE
14 EXECUTIVE SESSION AND REMIND THOSE THAT WERE THERE
15 AT THE MEETING ABOUT THAT.

16 CHAIRMAN KLEIN: CERTAINLY, DR. TROUNSON.
17 AND AFTER WE'VE COVERED ANY EXTRAORDINARY PETITIONS
18 WE WANT TO BRING THE ATTENTION OF THE BOARD AND THE
19 PUBLIC, IF THERE COULD BE A GENERAL PRESENTATION BY
20 THE STAFF ON THE TWO APPLICATIONS YOU'VE JUST
21 MENTIONED SO THAT THERE IS A FRAMEWORK FOR THE
22 PROPRIETARY DISCUSSION. FIRST, WE WILL DEAL WITH
23 ANY OTHER IDENTIFICATION OF APPLICATIONS, WHETHER
24 EXTRAORDINARY PETITIONS ACTUALLY OR NOT, AND THEN
25 PERHAPS WE COULD HAVE SOME PRESENTATIONS ON THOSE

BARRISTERS' REPORTING SERVICE

1 TWO APPLICATIONS.

2 DR. SAMBRANO: I JUST WANTED TO POINT OUT
3 THAT IN YOUR BOOKS YOU ALSO HAVE A COMPILATION OF
4 THE PROGRAMMATIC DISCUSSION IN A SINGLE SHEET. SO
5 WE'VE INCLUDED THE PROGRAMMATIC DISCUSSION AS PART
6 OF THE SUMMARY FOR EACH APPLICATION. BUT JUST FOR
7 YOUR CONVENIENCE, YOU CAN LOOK AT THE SPECIFIC
8 PROGRAMMATIC DISCUSSION OF ABOUT EIGHT OR NINE THAT
9 HAD SUBSTANCE, SO WE COMPILED THOSE IN A DOCUMENT
10 FOR YOU.

11 CHAIRMAN KLEIN: THANK YOU.

12 MR. SHEEHY: WHERE IS THAT AT?

13 DR. SAMBRANO: IT SHOULD BE IN THE SAME
14 TAB.

15 CHAIRMAN KLEIN: MELISSA KING, IF YOU
16 COULD TAKE A MIC, PLEASE.

17 MS. KING: IT IS BEHIND TAB 9.

18 CHAIRMAN KLEIN: MELISSA KING IS STATING
19 IT'S BEHIND TAB 9. DR. TROUNSON, YOU SAID 1789, AND
20 WHAT WAS THE SECOND ONE?

21 DR. TROUNSON: 1857. I DON'T KNOW IF YOU
22 WANT ME TO COMMENT ON IT, WHETHER YOU NEED TO
23 INDICATE THERE MIGHT BE CONFLICTS OF INTEREST OVER
24 THOSE.

25 CHAIRMAN KLEIN: RIGHT.

BARRISTERS' REPORTING SERVICE

1 DR. TROUNSON: I WAS ONLY GOING TO MAKE
2 GENERALIZED COMMENT, BUT THAT WOULD REFLECT THE
3 GRANTS WORKING GROUP VIEW.

4 CHAIRMAN KLEIN: THE CONFLICTS ISSUE WOULD
5 COME BEFORE ANY BOARD DISCUSSION. SO ONE ITEM HERE,
6 DUANE ROTH, DID YOU HAVE A QUESTION?

7 MR. ROTH: I'M STILL TRYING TO FIND THIS
8 ONE.

9 MS. KING: IT'S NOT IN THERE. WE DIDN'T
10 GET THAT DOCUMENT. THERE WAS A MISCOMMUNICATION.

11 CHAIRMAN KLEIN: SO IN THE SPIRIT OF
12 TRYING TO MOVE FORWARD TO A NEW LEVEL OF
13 SOPHISTICATION, THE DOCUMENT HAS BEEN PRODUCED, BUT
14 IT LOOKS LIKE WE DIDN'T GET IT IN TIME FOR THE
15 BINDER. IF ONE OF THE STAFF MEMBERS CAN SEE IF --

16 MS. KING: AMY CAN MAKE COPIES OF IT.

17 CHAIRMAN KLEIN: THAT'S VERY HELPFUL. SO
18 WE WILL LOOK FORWARD TO THAT INFORMATION. THANK YOU
19 VERY MUCH. AND THANK YOU FOR THE SPECIAL EFFORT,
20 DR. SAMBRANO AND THE SCIENTIFIC STAFF, FOR MOVING
21 THAT REFINEMENT OF THE PROCESS FORWARD. WE REALLY
22 APPRECIATE IT.

23 ALL RIGHT. ADDITIONAL ITEMS THAT
24 INDIVIDUALS WOULD LIKE TO IDENTIFY.

25 DR. TROUNSON: DID YOU WANT ME TO MAKE

BARRISTERS' REPORTING SERVICE

1 SOME COMMENT ON THOSE?

2 CHAIRMAN KLEIN: YES. SO, IN FACT, DR.
3 TROUNSON, BECAUSE THOSE ARE FAIRLY COMPLICATED, WE
4 WILL DEFINITELY WANT YOU TO MAKE THOSE COMMENTS.
5 BUT FIRST LET ME GET A SENSE OF HOW MANY MEMBERS OF
6 THE AUDIENCE WOULD LIKE TO SPEAK AND WHICH
7 APPLICATIONS THEY WOULD LIKE TO SPEAK ON. COULD YOU
8 JUST GO TO THE MIC TO IDENTIFY THE APPLICATION?
9 WE'RE GOING TO ORGANIZE THE COMMENTS SEPARATELY.

10 MR. SAVINE: THE APPLICATION, MR.
11 CHAIRMAN, THAT I'D LIKE TO SPEAK ON IS 1841, THE
12 DEVELOPMENT CANDIDATE FOR HUNTINGTON'S DISEASE. MY
13 NAME IS CHARLES SAVINE.

14 CHAIRMAN KLEIN: OKAY. FINE. WE'RE GOING
15 TO MAKE SURE YOU HAVE THE OPPORTUNITY TO DO THAT.
16 ADDITIONAL SPEAKERS?

17 DR. BHATIA: I'D LIKE TO SPEAK ABOUT 1763,
18 TARGETING SIRT1 IN LEUKEMIA STEM CELLS. MY NAME IS
19 RAVI BHATIA. I'M FROM CITY OF HOPE.

20 CHAIRMAN KLEIN: 1763. ALL RIGHT.

21 DR. LAM: I'M KIT LAM FROM UC DAVIS. I
22 WANT TO SPEAK FOR THE 1797, TARGETING
23 NANOTHERAPEUTICS TO ERADICATE AND CURE LEUKEMIA STEM
24 CELLS.

25 CHAIRMAN KLEIN: ALL RIGHT.

BARRISTERS' REPORTING SERVICE

1 DR. HAVTON: MY NAME IS LEIF HAVTON. I'D
2 LIKE SPEAK ON BEHALF OF 1785, A PROPOSAL WITH TITLE
3 "REPAIR OF CONUS MEDULLARIS/CAUDA EQUINA INJURY
4 USING HUMAN ES CELL-DERIVED MOTOR NEURONS," A
5 PROPOSAL THAT WAS SUBMITTED THROUGH UCLA.

6 CHAIRMAN KLEIN: ALL RIGHT.

7 DR. DENG: MY NAME IS SOPHIE DENG FOR
8 PROPOSAL 1768 ENTITLED "REGENERATION OF FUNCTIONAL
9 LIMBAL STEM CELLS FOR TRANSPLANTATION."

10 CHAIRMAN KLEIN: THANK YOU VERY MUCH. WE
11 WILL BE INFORMED TODAY.

12 SO GIVEN THE COMPLEXITY OF THE REVIEWS ON
13 THE TWO APPLICATIONS THAT YOU RAISED, DR. TROUNSON,
14 I THINK IT WOULD BE GOOD TO BEGIN ACTUALLY WITH
15 THOSE. THEY MAY OFFER US SOME SIGNIFICANT
16 CHALLENGE. AND WHY DON'T WE HAVE WHOMEVER YOU'D
17 LIKE TO DESIGNATE TO BEGIN WITH THOSE REVIEWS.

18 DR. TROUNSON: I THINK WE'LL ASK THE STAFF
19 OFFICER TO COME FORWARD, BUT IT'S FAIRLY SIMPLE IN
20 TERMS OF THE PROPOSALS, THE RECOMMENDATIONS TO THE
21 GRANTS WORKING GROUP.

22 IN THE FIRST ONE, 1789, THE GRANTS WORKING
23 GROUP RECOMMENDED THAT THE PROSTATE CANCER PORTION
24 AND ASSOCIATED SUBCONTRACT BE EXCISED AND THE BUDGET
25 ADJUSTED TO REFLECT THE CHANGES. THEY FELT VERY

BARRISTERS' REPORTING SERVICE

1 STRONGLY ABOUT THE BLOOD DISEASE COMPONENT OF THAT
2 PROJECT, BUT THEY FELT VERY NEGATIVE ABOUT THE
3 PROSTATE CANCER SECTION OF THAT PROJECT.

4 IF YOU WOULD LIKE, THE SCIENCE OFFICERS OR
5 MYSELF TO DISCUSS THAT FURTHER --

6 CHAIRMAN KLEIN: I THINK IT IS IMPORTANT
7 TO JUST GIVE US THE FRAMEWORK FOR IT, PLEASE.

8 DR. TROUNSON: ESSENTIALLY THE FRAMEWORK
9 IS THAT THIS IS A LEADING SCIENTIST IN THE AREA OF
10 BLOOD DISEASES WHO BELIEVES THAT THE MOVEMENT INTO
11 THE PROSTATE CANCER SECTION WOULD BE A VIABLE PART
12 OF THE PROJECT. THE REVIEWERS FELT THAT THERE WAS
13 LITTLE EVIDENCE PROVIDED FOR THAT, THAT THAT WORK
14 WOULD BE OF ANY REAL SIGNIFICANCE.

15 SO I DON'T KNOW IF ONE OF THE SCIENCE
16 OFFICERS, GIL WANTS TO SPEAK SPECIFICALLY ON IT.
17 MICHAEL YAFFE CAN PROVIDE YOU SOME FURTHER DETAILS
18 OF THAT IF YOU WISH.

19 CHAIRMAN KLEIN: DR. YAFFE, IF YOU COULD
20 GIVE US THE KEY POINTS IN OUTLINE. MY UNDERSTANDING
21 HERE IS RECOMMENDATION TO FUND THE LEUKEMIA WORK,
22 BUT NOT THE PROSTATE WORK. THE PROSTATE WORK, ONE
23 OF THE FUNDAMENTAL CRITICISMS WAS IT WASN'T REALLY
24 RELATED TO THE CORE STUDY THAT THEY WERE DOING UNDER
25 THE PRIMARY PI'S EXPERIENCE. SO WOULD YOU GIVE US

BARRISTERS' REPORTING SERVICE

1 SOME ORIENTATION?

2 DR. YAFFE: THAT'S CORRECT. AND THE
3 PROPOSAL HAD THREE SPECIFIC AIMS. THE FIRST TWO
4 RELATED TO LEUKEMIC AND BLOOD CANCER WERE WELL
5 DEVELOPED, WELL RECEIVED BY THE REVIEW COMMITTEE,
6 AND FELT TO BE EXTREMELY STRONG AND IMPORTANT.

7 THE THIRD SPECIFIC AIM WAS TO EXTEND THESE
8 STUDIES INTO PROSTATE CANCER. REVIEWERS WERE
9 CONCERNED ABOUT A NUMBER OF FEASIBILITY ASPECTS OF
10 THAT WORK, INCLUDING THE PROPOSED XENOGRAFT MODEL,
11 WHICH HAS NOT BEEN DEVELOPED AND HAS NOT BEEN
12 VALIDATED. QUESTIONS ABOUT WHETHER THE MODEL, IN
13 FACT, REVEALS FEATURES OF METASTASES TO BONE AND
14 OTHER FEATURES COMMON TO PROSTATE CANCER. SO THERE
15 WAS A STRONG CONCERN ABOUT THAT.

16 THERE WAS ALSO DOUBT OR SOME QUESTION
17 ABOUT THE NATURE OF THE RELATIONSHIP OF THE CANCER
18 STEM CELL MODEL UPON WHICH THE EARLIER RESEARCH IS
19 BASED AND THE BEHAVIOR OF CANCER CELLS IN THE
20 PROSTATE. SO IT WAS FELT THAT THAT PART OF THE WORK
21 WAS MUCH MORE BASIC, DID NOT BELONG IN A
22 TRANSLATIONAL GRANT, AND WAS NOT CONTRIBUTING TO
23 MEANINGFUL MEDICAL ADVANCES AT THIS STAGE OF
24 RESEARCH. FOR THAT REASON, THEY RECOMMENDED
25 ALTERING THE GRANT IN THE GRANTS WORKING GROUP IN

BARRISTERS' REPORTING SERVICE

1 PROGRAMMATIC, RECOMMENDED SUPPORTING AND FUNDING
2 THIS WITH DELETION OF THE THIRD SPECIFIC AIM AND AN
3 APPROPRIATE CHANGE IN THE BUDGET TO REFLECT THAT.

4 CHAIRMAN KLEIN: AND MY UNDERSTANDING WAS
5 THE PI FOR THE PRIMARY GRANT TARGETS LEUKEMIA WAS
6 VERY STRONG.

7 DR. YAFFE: ABSOLUTELY. FIRST-RATE.

8 CHAIRMAN KLEIN: OKAY. THANK YOU. CAN WE
9 LOOK AT THE SECOND OF THOSE, DR. TROUNSON?

10 DR. TROUNSON: THE SECOND PROJECT IS 1857.
11 AND THE GRANTS WORKING GROUP RECOMMENDED THAT THE
12 PRECLINICAL MODEL STUDIES, GMP, CELL BANKING, AND
13 OTHER ACTIVITIES NOT REQUIRED FOR FEASIBILITY,
14 SHOULD BE REMOVED FROM THE PROJECT AND THE AWARD BE
15 CHANGED TO A DC FEASIBILITY. BASICALLY THEY FELT
16 THAT THE STUDY WAS A VERY IMPORTANT STUDY, BUT IT
17 WAS MUCH MORE ALIGNED TO A FEASIBILITY PROJECT THAN
18 A DISEASE CANDIDATE PROJECT.

19 THEY FELT THAT WE SHOULD -- THAT THEY
20 WOULD BE SUPPORTIVE OF THE PROJECT IN THE DCF
21 CATEGORY, BUT THEY WERE FEELING RATHER UNSUPPORTIVE
22 AS A DEVELOPMENTAL CANDIDATE WITH THE AMOUNT OF WORK
23 THAT HAD TO BE DONE IN THERE. WE RECOGNIZE THAT
24 THIS IS QUITE DIFFICULT BECAUSE YOU SEE THERE'S A
25 BIG DIFFERENCE IN THE BUDGETS FROM DC TO A DCF. AND

BARRISTERS' REPORTING SERVICE

1 THAT IF THE ICOC DOES AWARD THAT PROJECT, THEN I
2 THINK WHAT WE WOULD TRY TO DO IS WORK WITH THE PI
3 AND SEE IF WE CAN REDUCE SOME OF THE STUDIES WHICH
4 ARE NOT REALLY REQUIRED AS A DC FEASIBILITY AND
5 BRING THE BUDGET DOWN, NOT WAY DOWN TO THE DCF
6 LEVEL, BUT TRY AND BRING IT BACK INTO SOME SORT OF
7 ORDER.

8 WE'RE TRYING TO MAKE THIS -- BE SUPPORTIVE
9 OF THIS PROJECT. THE GRANTS WORKING GROUP WAS
10 SUPPORTIVE OF IT AS A FEASIBILITY. WE DON'T WANT TO
11 UNHINGE THE PROJECT COMPLETELY. WE WANT TO SORT OF
12 LOOK IN A WAY WHERE WE CAN RECONSTRUCT THIS PROJECT
13 IN ORDER FOR IT TO BE A FEASIBILITY STUDY AND NOT BE
14 EXPECTED TO MAKE THAT CANDIDATE IN THE TIME BECAUSE
15 THE GRANTS WORKING GROUP DIDN'T BELIEVE THAT THEY
16 WERE GOING TO BE ABLE TO DO THAT IN THIS PARTICULAR
17 PROJECT, BUT WERE STRONGLY SUPPORTIVE AS A DCF
18 STUDY.

19 SO THERE'S A LITTLE COMPLEX -- LITTLE BIT
20 COMPLICATED PERHAPS, BUT I THINK WITH THE BOARD'S
21 SUPPORT, WE CAN REFASHION THIS IN SOME WAY IF THAT'S
22 WHAT YOU THINK IS APPROPRIATE.

23 CHAIRMAN KLEIN: AND, DR. TROUNSON, WOULD
24 YOU OR ONE OF THE OTHER SCIENTISTS GIVE US SOME
25 SENSE OF THE STRENGTH OF THE GROUP, THE SCIENTIFIC

BARRISTERS' REPORTING SERVICE

1 GROUP, THE STRENGTH OF THE SCIENTIFIC THEORIES THEY
2 HAD HERE?

3 DR. TROUNSON: SO IF I CAN INVITE DR.
4 COLLINS, I THINK, TO ADDRESS THAT ISSUE FOR YOU.

5 DR. COLLINS: THE GRANTS WORKING GROUP WAS
6 VERY SUPPORTIVE OF THE TEAM, THE PI AND THE CO-PI,
7 AND, IN FACT, CALLED THEM AN OUTSTANDING TEAM. AND
8 IF YOU'D LIKE, I'D BE HAPPY TO GIVE SORT OF A
9 BIRD'S-EYE VIEW OF THE PROPOSAL ITSELF.

10 CHAIRMAN KLEIN: WHY DON'T YOU DO THAT.

11 DR. COLLINS: THIS IS A DEVELOPMENTAL
12 CANDIDATE PROPOSAL, RECEIVED AS A DEVELOPMENT
13 CANDIDATE PROPOSAL, TO GENERATE HUMAN EMBRYONIC STEM
14 CELL-DERIVED HEPATOCYTES TO SERVE AS A BRIDGE TO
15 REGENERATION FOR ACUTE LIVER FAILURE AND ALSO TO
16 SUPPORT PATIENTS WHO REQUIRE LARGE LIVER RESECTIONS
17 TO THE POINT WHERE THERE WOULD BE INADEQUATE LIVER
18 LEFT TO PROMOTE SURVIVAL UNTIL THE PATIENT'S OWN
19 LIVER WOULD REGENERATE. SO THAT'S THE TARGET
20 INDICATION FOR THE THERAPY.

21 AND THE APPLICANTS PLAN TO ESTABLISH
22 CONSISTENT PRODUCTION OF HIGH PURITY CANDIDATE AS
23 WELL AS DEVELOP METHODS TO REMOVE RESIDUAL
24 UNDIFFERENTIATED STEM CELLS AND TEST THE CELLS FOR
25 ENGRAPHMENT AND THEIR ABILITY TO RESCUE LIVER

BARRISTERS' REPORTING SERVICE

1 FAILURE IN AN ANIMAL MODEL OF ACUTE LIVER DISEASE.
2 AND REVIEWERS WERE VERY ENTHUSIASTIC ABOUT
3 THE CONCEPT OF THIS PROPOSAL AND IN PARTICULAR ABOUT
4 THE CONCEPT OF AN UNLIMITED SUPPLY OF SAFE AND
5 RELATIVELY METABOLICALLY MATURE HUMAN HEPATOCYTES
6 FOR LIVER FAILURE AS THIS IS A HUGE UNMET MEDICAL
7 NEED. BUT THEY DID HAVE SOME SERIOUS CONCERNS
8 REGARDING THE RATIONALE BEHIND THE PROPOSED LARGE
9 ANIMAL PRECLINICAL MODELS, AND THEY FELT VERY
10 STRONGLY THAT THESE MODELS WOULD NOT CONTRIBUTE TO
11 ACHIEVING THE GOALS OF THE PROPOSAL. AND I THINK
12 THAT WAS BEHIND THE RECOMMENDATION TO REMOVE THOSE
13 FROM THE PLAN.

14 REGARDING FEASIBILITY OF THE PLAN,
15 REVIEWERS REALLY APPRECIATED THE PRELIMINARY DATA
16 SUPPORTING BOTH THE METABOLIC ACTIVITY OF THE CELLS
17 AS WELL AS THE APPLICANT'S ABILITY TO GENERATE THEM
18 AT HIGH PURITY. HOWEVER, THEY HAD SOME FEASIBILITY
19 CONCERNS REGARDING THE REGULATORY PATH FOR THIS
20 PROPOSED DC AS IT WOULD BE CLASSIFIED AS BOTH A
21 XENOTRANSPLANT AND A POTENTIALLY IMMUNOGENIC GENE
22 THERAPY BY THE FDA. AND THE APPLICANTS, THEY FELT,
23 DID NOT ADEQUATELY ADDRESS THE NECESSARY TESTING TO
24 ENSURE FURTHER REGULATORY PROGRESSION OF THE
25 DEVELOPMENT CANDIDATE AT THIS POINT.

BARRISTERS' REPORTING SERVICE

1 THEREFORE, THEY FELT THAT THIS WAS AN
2 OUTSTANDING APPLICANT TEAM THAT WAS LIKELY TO
3 SUCCEED AT MAKING THE CANDIDATE AND GENERATING IN
4 VIVO PROOF OF CONCEPT, BUT THEY FELT THAT THEY WOULD
5 NOT HAVE A DEVELOPMENT CANDIDATE READY FOR
6 IND-ENABLING STUDIES AT THE END OF THE AWARD PERIOD.
7 SO THEY, THEREFORE, RECOMMENDED IN PROGRAMMATIC
8 REVIEW THAT THE APPLICATION BE FUNDED AS A
9 DEVELOPMENT CANDIDATE FEASIBILITY AWARD ON THE
10 CONDITION THAT THE LARGE ANIMAL MODELS AS WELL AS
11 THE PROPOSED GMP WORK AND ANY OTHER ELEMENTS THAT
12 WERE NOT NECESSARY FOR ESTABLISHING REALLY THE PROOF
13 OF CONCEPT OF THIS DEVELOPMENT CANDIDATE BE EXCISED
14 FROM THE PROJECT AND THE BUDGET ADJUSTED
15 ACCORDINGLY. THAT WAS THE FINAL RECOMMENDATION.

16 MR. ROTH: OKAY. ANY FOLLOW-ON QUESTIONS
17 FOR ALAN OR JEFF OR ANY OF THE OTHER APPLICANTS? SO
18 HEARING NONE, JAMES, I THINK WE HAVE TO IDENTIFY
19 THOSE THAT THE BOARD WISHES TO HAVE A CLOSED SESSION
20 ON.

21 CHAIRMAN KLEIN: WE'LL HAVE A CLOSED
22 SESSION ON ALL OF THEM AND CHOOSE THOSE, BUT TO THE
23 EXTENT THAT I THINK, DUANE, YOU'RE FOCUSING ON, TO
24 THE EXTENT THAT WE EXPECT TO REALLY GO INTO THEM IN
25 DEPTH IN THE CLOSED SESSION, WE WANT TO MAKE SURE WE

BARRISTERS' REPORTING SERVICE

1 IDENTIFY THEM HERE. I THINK, JEFF SHEEHY, YOU HAD
2 ANOTHER APPLICATION?

3 MR. SHEEHY: YEAH. I WAS JUST LOOKING AT
4 1797, AND I THINK IT MIGHT BE USEFUL TO LOOK AT THAT
5 ONE TOO.

6 CHAIRMAN KLEIN: AS WELL, IF WE COULD HAVE
7 A LOOK AT 1778, WHICH I BELIEVE HAD A STRONG TEAM,
8 BUT SOME VERY CHALLENGING ISSUES. SO, DR. TROUNSON,
9 1797, WHO WOULD PRESENT ON THAT?

10 ON 1797 WE'RE NOW PRESENTING A STAFF
11 REPORT ON AN EXTRAORDINARY PETITION FOR WHICH
12 THERE'S AN AUDIENCE COMMENT. SO I'M GOING TO CALL
13 THE AUDIENCE COMMENT IMMEDIATELY AFTER THE STAFF
14 PRESENTATION.

15 DR. ABO: MY NAME IS ARI ABO. I AM A NEW
16 MEMBER OF THE SCIENCE OFFICER AT CIRM. SO I'M HERE
17 TO PRESENT TO YOU 1797. OVERALL, THE REVIEWERS FELT
18 VERY POSITIVELY ABOUT THIS APPLICATION. IT'S AN
19 APPLICATION THAT IS USING A NANOMICELLE TECHNOLOGY
20 TO TARGET LEUKEMIC CANCER STEM CELLS.

21 SO THE HYPOTHESIS IS THAT TAKING A HIGH
22 CONCENTRATION OF CHEMOTHERAPEUTIC AGENTS AND DELIVER
23 IT USING A NANOMICELLE TECHNOLOGY TO TARGET CANCER
24 STEM CELLS MAY PROVIDE IMPORTANT THERAPEUTICS FOR
25 AML, ACUTE MYELOID LEUKEMIA, WHICH IS AN UNMET NEED.

BARRISTERS' REPORTING SERVICE

1 AND MOST OF THE PATIENTS TREATED WITH
2 CHEMOTHERAPIES, THERE'S A VERY HIGH PERCENTAGE OF
3 RECURRENCE OF THIS DISEASE. HOWEVER, THE REVIEWERS
4 FOUND THAT WHILE THIS HYPOTHESIS IS VERY STRONG AND
5 VERY IMPORTANT, THEY FOUND VERY LITTLE EVIDENCE WAS
6 PROVIDED ON CHARACTERIZATION OF THE CANCER STEM
7 CELLS IN MYELOID LEUKEMIA.

8 ALTHOUGH THE MARKERS ARE KNOWN VERY WELL
9 WHAT ARE THE SPECIFIC MARKERS, IN THE PRELIMINARY
10 DATA PROVIDED BY THE PI, THERE WAS VERY LITTLE
11 INFORMATION ABOUT HOW THE CHEMOTHERAPEUTIC AGENT IS
12 GOING TO TARGET SPECIFICALLY THE CANCER STEM CELLS.
13 AND INCREASED CONCENTRATIONS OF THE CHEMOTHERAPEUTIC
14 AGENTS COULD ACTUALLY KILL CANCER STEM CELLS. AND
15 THAT WAS THE MAJOR CONCERN RAISED BY THE REVIEWER,
16 AND THEY FELT THAT THAT WAS A WEAKNESS OF THIS
17 PROPOSAL.

18 CHAIRMAN KLEIN: THANK YOU. COULD WE --

19 MR. SHEEHY: COULD I ASK A COUPLE OF
20 QUESTIONS? THANK YOU. BUT THE CANCER STEM CELL IS
21 CHARACTERIZED FOR THIS TARGET, RIGHT? SO WE DO KNOW
22 THAT THERE IS -- I'M KIND OF CONFUSED. IT SOUNDS
23 LIKE THE REVIEWERS SAID, WELL, THEY DIDN'T KNOW IF
24 THERE WAS A CANCER STEM CELL, BUT THEN IT'S KIND OF
25 ESTABLISHED THAT THERE IS A CANCER STEM CELL?

BARRISTERS' REPORTING SERVICE

1 DR. ABO: ABSOLUTELY. SO THERE IS A
2 SPECIFIC MARKER FOR LEUKEMIC CANCER STEM CELL
3 CD34-/38+ WHERE IT'S TRUE, DURING THE REVIEWING
4 PROCESS, I FELT THAT THE REVIEWERS FAILED TO
5 RECOGNIZE THAT THOSE MARKERS ARE CANCER STEM CELL
6 MARKERS. HOWEVER, ALTHOUGH IT'S KNOWN THAT THIS IS
7 A MARKER FOR THE CANCER STEM CELL LEUKEMIA, IN THE
8 PROPOSAL ITSELF, THERE WAS VERY LITTLE INFORMATION
9 OR DATA SHOWING THAT SPECIFIC CANCER STEM CELLS WITH
10 THESE SPECIFIC MARKERS ARE TARGETED WITH THIS
11 TECHNOLOGY IS EFFECTIVE AS A PRELIMINARY INFORMATION
12 TO SHOW THAT THIS TECHNOLOGY IS GOING TO BE
13 EFFECTIVE.

14 CHAIRMAN KLEIN: HOW MUCH DATA DO WE
15 REQUIRE ON A CONSISTENT BASIS IN THIS ROUND?

16 DR. ABO: YOU WOULD EXPECT AT LEAST TO
17 SHOW THAT THE SCHEMA THERAPEUTIC AGENT WORKS
18 EFFECTIVELY ON THE CANCER CELLS, THAT YOU WOULD SHOW
19 SOME PRELIMINARY DATA AND SOME SORT OF A DOSE
20 ESCALATION TO SHOW THAT THERE ARE SOME CORRELATIONS
21 THAT IF YOU INCREASE THE DOSE BY 10 OR 50 FOLDS AND
22 YOU HAVE EFFECTIVE KILLING OF CANCER STEM CELLS WITH
23 THE MARKERS. THAT WAS NOT PROVIDED IN THE
24 APPLICATION.

25 MR. SHEEHY: BUT THEN HOW WILL WE EVER

BARRISTERS' REPORTING SERVICE

1 ANSWER THIS QUESTION IF WE DON'T LET THEM SEE HOW
2 MUCH IT TAKES TO KILL THE CANCER STEM CELLS? I JUST
3 WANT -- EVERYBODY SEEMED TO BE VERY ENTHUSIASTIC
4 ABOUT THE PLATFORM. AND THAT SEEMS LIKE THAT THAT
5 WOULD HAVE THIS NANOTECHNOLOGY TO DELIVER VERY
6 PRECISELY CHEMOTHERAPEUTIC AGENTS. THEY SAID IF
7 THIS WORKED OUT, IT WOULD HAVE SOME BENEFIT ON
8 ELDERLY PATIENTS WHO CAN'T TOLERATE THE DOSES OF
9 CHEMOTHERAPY THAT THEY GET NOW. IT'S A MORE
10 SPECIFIC TARGETING.

11 HOW DO WE ANSWER THAT QUESTION? THEY
12 CAN'T ANSWER IT IF WE DON'T GIVE THEM THE MONEY TO
13 ANSWER IT.

14 DR. ABO: THAT'S TRUE. IN ADDITION, I
15 SHOULD ADD THAT THAT'S SOMETHING THAT THE REVIEWER
16 FAILED TO SEE, WHICH IS IDENTIFICATION OF A SPECIFIC
17 MOLECULE FOUND IN CANCER STEM CELL, THE CLL1, BY THE
18 PI SHOWING THAT THEY FIND A SPECIFIC LIGAND THAT CAN
19 BIND THIS RECEPTOR WHICH COULD -- ACTUALLY WILL BE
20 AN EFFECTIVE AND A NOVEL WAY TO TARGET THIS
21 NANOMICELLE TO THE CANCER STEM CELLS. I THINK
22 THAT'S A NOVEL DISCOVERY THAT WAS MADE BY THE
23 APPLICANT, SO IT COULD BE VERY IMPORTANT FOR
24 LEUKEMIC THERAPIES.

25 MS. SAMUELSON: MIGHT THAT SERVE AS THE

BARRISTERS' REPORTING SERVICE

1 PRELIMINARY DATA THAT COULD BE THE BASIS FOR
2 DECIDING IT'S WORTHWHILE TO PROCEED IN FUNDING THIS
3 GRANT?

4 DR. ABO: IT WOULD BE -- IT WOULD ADD MORE
5 VALUE FOR THIS HYPOTHESIS SINCE THE HYPOTHESIS SAYS
6 THAT INCREASING EXISTING CHEMOTHERAPEUTIC AGENTS BY
7 TARGETING THE CANCER STEM CELLS IS THE HYPOTHESIS
8 AND IS BASED ON STUDIES THAT WERE PUBLISHED IN *NEW*
9 *ENGLAND JOURNAL OF MEDICINE*, THAT IF YOU INCREASE
10 EXISTING CHEMOTHERAPEUTIC AGENTS IN LEUKEMIC
11 PATIENTS, YOU GET MUCH MORE EFFECTIVE THERAPY. AND
12 IT WOULD ADD A LOT OF VALUE IF THERE WAS PRELIMINARY
13 DATA SHOWN IN THIS APPLICATION TO SUPPORT THIS IN
14 VITRO AT LEAST, AND THERE WAS VERY LITTLE DATA
15 PROVIDED ON THAT, ALTHOUGH IT'S A VERY ATTRACTIVE
16 APPROACH.

17 CHAIRMAN KLEIN: SO COULD YOU CLARIFY
18 THAT. WHAT WAS PUBLISHED IN THE *NEW ENGLAND*
19 *JOURNAL*?

20 DR. ABO: IT WAS PUBLISHED THAT IF YOU
21 TREAT LEUKEMIC PATIENTS WITH INCREASED EXISTING
22 CHEMOTHERAPEUTIC AGENT THAT IS USED IN THIS THERAPY,
23 YOU GET EFFECTIVE -- A BETTER THERAPY. AND THIS
24 APPROACH IS TRYING TO INCREASE THE CONCENTRATION OF
25 EXISTING CHEMOTHERAPY AGENTS BY TENFOLD, THAT WE

BARRISTERS' REPORTING SERVICE

1 CANNOT DO IT WITH THE CURRENT APPROACH. ALTHOUGH
2 PEOPLE SHOWING THAT IF YOU CAN INCREASE THE
3 CONCENTRATION, YOU MAY GET BETTER EFFICACY. SO IT'S
4 BASED ON THAT OBSERVATION.

5 CHAIRMAN KLEIN: AND THIS WAS IN THE
6 CONTEXT THAT THIS NANOTECHNOLOGY MAY BE AN EFFECTIVE
7 WAY OF DELIVERING MORE CONCENTRATED DOSES AS VERSUS
8 CURRENT THERAPEUTIC APPROACHES?

9 DR. ABO: EXACTLY.

10 DR. TROUNSON: I GUESS, CHAIR, THAT YOU'VE
11 GOT TO BE CERTAIN THAT THEY'RE GOING TO DELIVER IT
12 TO THE RIGHT CELLS. I THINK THAT'S THE QUESTION
13 THAT'S BEING RAISED. ARE YOU DELIVERING IT TO THE
14 RIGHT CELL? IF YOU'RE DELIVERING IT TO THE WRONG
15 CELLS, IT'S NOT A GOOD IDEA. SO THAT'S REALLY THE
16 HUB OF THE QUESTION AS I SEE IT.

17 CHAIRMAN KLEIN: RIGHT. AND THE CLL1
18 DISCOVERY HELPS US IDENTIFY CORRECT CELLS?

19 DR. ABO: DEFINITELY. CLL1 WAS A MOLECULE
20 THAT WAS CLONED BY A DUTCH GROUP SHOWING THAT IT WAS
21 SPECIFICALLY, IN TWO PUBLICATIONS, SPECIFICALLY
22 EXPRESSED IN CANCER STEM CELLS, LEUKEMIC CANCER STEM
23 CELLS. AND THE PI OF THIS APPLICATION, HE
24 DISCOVERED THE LIGAND THAT CAN BIND TO THIS
25 RECEPTOR, AND HE WANTS TO USE THIS LIGAND TO TARGET

BARRISTERS' REPORTING SERVICE

1 THE CHEMOTHERAPEUTIC AGENTS TO THESE LEUKEMIC CELLS.
2 THAT MAKES IT VERY ATTRACTIVE TO REALLY TARGET YOUR
3 CHEMOTHERAPEUTIC AGENT WITH THE SPECIFIC RECEPTORS
4 THAT WAS SHOWN TO BE EXPRESSED IN THE SPECIFIC
5 MARKERS THAT PEOPLE BELIEVE ARE THE MARKERS FOR THE
6 LEUKEMIC CANCER STEM CELLS.

7 CHAIRMAN KLEIN: I THINK WE'VE GOTTEN TO
8 THE EDGE OF WHAT WE CAN BECAUSE WE'RE GETTING INTO
9 PROPRIETARY INFORMATION, BUT I THINK IT'S BEEN VERY
10 ELUCIDATING.

11 MR. ROTH: I CANNOT FIND 1857. WHAT DID
12 YOU DO WITH THAT? I CAN'T SEEM TO FIND IT ON THE
13 LIST. IT'S ON THE PROGRAMMATIC REVIEW? I SEE IT.
14 YOU RECOMMEND IT FOR FUNDING, RIGHT? THIS IS THE
15 ONE THAT WILL BE CHANGED. THANK YOU.

16 CHAIRMAN KLEIN: WE WERE JUST GOING
17 THROUGH 1797. ALL RIGHT. SO IF WE COULD HAVE THE
18 PUBLIC COMMENT ON 1797.

19 DR. LAM: GOOD MORNING. THANK YOU SO
20 MUCH. I'M KIT LAM. I'M THE CHIEF OF HEMATOLOGY
21 ONCOLOGY IN UC DAVIS. AND I AM THE PI OF THIS
22 PROPOSAL. AND I'D LIKE TO REPORT TO YOU THAT CHONG
23 PAN WHO WAS THE CO-PI ACTUALLY GOT A JUNIOR
24 INVESTIGATIVE AWARD FROM CIRM, AND IT IS THROUGH
25 THIS RESEARCH HE DISCOVERED THESE LEUKEMIA TARGETING

BARRISTERS' REPORTING SERVICE

1 LIGANDS. SEVERAL OF THEM ARE IDENTIFIED.

2 AND I MYSELF I DEVELOP A LOT OF
3 NANOPARTICLE DRUGS. SOME OF THEM ACTUALLY WE JUST
4 FILE AN IND. WE HOPEFULLY WILL START WITH THE
5 CLINICAL TRIAL IN SOLID TUMOR NEXT YEAR.

6 SO I THINK THIS IS A VERY PROMISING
7 PROJECT BECAUSE WE HAVE A LOT OF TRANSLATION OF
8 DATA. ACTUALLY THE NANOPARTICLE HAS BEEN ALREADY
9 TESTED IN DOGS AND IT WORKS VERY WELL, AND SOME
10 REALLY GOOD RESULTS ALREADY IN SOLID TUMOR IN DOGS.

11 RIGHT NOW IT'S THE COMBINED LIGANDS THAT
12 DR. PAN DISCOVERED THROUGH THIS JUNIOR INVESTIGATOR
13 AWARD WITH THESE NANOPARTICLES SO THAT WE CAN TARGET
14 THE CANCER.

15 THE THREE CONCERNS THE REVIEWER SAW IS,
16 NO. 1, SPECIFICITY. I THINK CERTAINLY WE ARE VERY
17 SURE THOSE LIGANDS DO BIND IN LEUKEMIA STEM CELLS
18 THROUGH PHOTOCYTOmetry STUDIES. AND WE ALSO
19 DEMONSTRATED THOSE LIGANDS DO NOT BIND TO THE NORMAL
20 HEMATOPOETIC STEM CELL, INCLUDING THE STEM CELL
21 DONATED AS A DONOR FOR STEM CELL TRANSPLANT IN
22 LEUKEMIC PATIENT. SO THAT'S THE KEY THING IS IT
23 DOES NOT BIND IN NORMAL HEMATOPOETIC STEM CELL.

24 WE CANNOT SAY FOR SURE YET IT DOESN'T BIND
25 TO ANY OTHER CELLS, BUT THEN THE IMPORTANT THING IS

BARRISTERS' REPORTING SERVICE

1 REALLY THE SPECIFICITY. IT DOES BIND TO LEUKEMIA
2 STEM CELL.

3 THE CLL1 LIGAND, HOWEVER, DO ALSO BIND TO
4 SOME MATURE MYELOCYTIC CELL AS WELL AS THE MATURE
5 LEUKEMIA CELLS, BUT THAT DOESN'T MATTER BECAUSE WE
6 CAN ALSO TARGET THOSE CELL AND REMOVE THOSE MATURE
7 LEUKEMIA CELL AS WELL.

8 FOR EXAMPLE, IN RITUXAN, WHICH IS A VERY
9 USEFUL DRUG FOR TREATING LYMPHOMA, B-CELL LYMPHOMA,
10 YOU CURE ALL THE B CELLS, NORMAL B CELL, BUT THE
11 PATIENT DO WELL WITHOUT ANY PROBLEM, AND IT'S
12 REALLY -- AND THAT THE B CELL WILL COME BACK A MONTH
13 OR TWO LATER AFTER THE DRUG IS GONE. SO ABSOLUTE
14 SPECIFICITY IS NOT REQUIRED, BUT WE CERTAINLY KNOW
15 THAT OUR LIGAND DO BIND TO THE LEUKEMIA STEM CELL.

16 THE SECOND CONCERN IS ASSESSMENT OF
17 EFFICACY THE REVIEWER HAS. I THINK THAT REALLY THE
18 FINAL ASSESSMENT IS REALLY THE NSG MOUSE THAT HAS
19 BEEN IMPLANTED WITH HUMAN LEUKEMIA CELL, AND WE CAN
20 CURE THOSE MICE. THIS IS REALLY THE FINAL
21 ASSESSMENT. I THINK WE CAN DO IT VERY EASILY BY
22 LOOKING AT SURVIVAL DATA.

23 IN TERMS OF DRUG RESISTANT IN LEUKEMIA
24 CELL, CAN WE GIVE MORE DRUG TO LEUKEMIA STEM CELL
25 ACTUALLY TO CURE THEM? WE HAVE AMPLE EVIDENCE

BARRISTERS' REPORTING SERVICE

1 SHOWING THAT MULTIDRUG RESISTANT CAN BE OVERCOME BY
2 USING NANOPARTICLE. THOSE PARTICLE GO THROUGH THE
3 CELL, THROUGH A LOCYTIC PATHWAY, VERY HIGH LEVEL
4 DRUG CAN GO INSIDE THE CELL. AND ALSO THROUGH OTHER
5 MECHANISMS I THINK YOU CAN EVEN OVERCOME SOME OF THE
6 (UNINTELLIGIBLE) PROTEIN EFFECTS MECHANISMS AS WELL.

7 SO I THINK REALLY WE HAVE A LOT OF REALLY
8 GOOD PRELIMINARY DATA AND ALSO PUBLISHED LITERATURE
9 SHOWING THAT THIS IS REALLY A PROMISING APPROACH,
10 AND ALSO THE NANOPARTICLE WE ALREADY GOING TO IND, I
11 THINK, BY THE END OF THREE YEARS. THE SECOND YEAR,
12 THE THREE-YEAR WE SHOULD BE ABLE TO COMBINE BOTH
13 USING THE LIGAND THAT TARGET LEUKEMIA STEM CELL AS
14 WELL AS NANOMICELLE TO REALLY GO INTO THE CLINIC.

15 CHAIRMAN KLEIN: THANK YOU. ARE THERE
16 ADDITIONAL QUESTIONS OF THIS PRESENTER? MR. SHEEHY.

17 MR. SHEEHY: SO I JUST WANT TO BE CLEAR.
18 SO THE LIGAND THAT'S THE TARGETING ISSUE WE PAID TO
19 DISCOVER THAT BASICALLY WITH A NEW FACULTY AWARD.
20 SO DOESN'T -- AND YOU HAVE THIS NANOTARGETING
21 TECHNOLOGY THAT'S REALLY NOVEL AND EVERYBODY THINKS
22 IS REALLY COOL?

23 DR. LAM: CORRECT.

24 MR. SHEEHY: SO WE'RE GETTING AN
25 OPPORTUNITY TO PUT THIS TOGETHER AND KIND OF ADVANCE

BARRISTERS' REPORTING SERVICE

1 OUR SCIENTIFIC PROGRAM WITH THIS GRANT, RIGHT?

2 DR. LAM: I THINK SO. I THINK IT'S REALLY
3 GETTING THERE.

4 MR. SHEEHY: THANK YOU.

5 DR. LAM: THANK YOU SO MUCH.

6 CHAIRMAN KLEIN: THANK YOU VERY MUCH.
7 VERY MUCH APPRECIATE THAT.

8 SO AT THIS POINT DOES ANY MEMBER OF THE
9 BOARD WANT TO TALK ABOUT 1763, WHICH IS ONE OF THE
10 EXTRAORDINARY PETITIONS? DOES ANYONE WANT ANY
11 PRESENTATION FROM THE STAFF ON THAT ITEM? I'M GOING
12 TO CALL, THEN, ON THE PUBLIC COMMENT ON THAT ITEM
13 1763.

14 DR. BHATIA: I'D LIKE THANK YOU FOR THIS
15 OPPORTUNITY TO DESCRIBE OUR PROPOSAL AND ITS IMPACT.
16 OUR APPLICATION IS 1763, "TARGETING SIRT1 IN
17 LEUKEMIA STEM CELLS." AND IT AIMS TOWARD DEVELOPING
18 A CURATIVE TREATMENT FOR CANCER.

19 MY NAME IS RAVI BHATIA, AND I'M A
20 CO-PRINCIPAL INVESTIGATOR ON THIS PROPOSAL WITH DR.
21 WEN YONG CHEN, WHO COULD NOT BE HERE TODAY. I'M A
22 PHYSICIAN AND A SCIENTIST, AND I WORK AT THE CITY OF
23 HOPE COMPREHENSIVE CANCER CENTER.

24 AS A HEMATOLOGY ONCOLOGY PHYSICIAN TAKING
25 CARE OF PATIENTS WITH LEUKEMIA AND OTHER

BARRISTERS' REPORTING SERVICE

1 HEMATOLOGICAL MALIGNANCIES, I KNOW FROM FIRSTHAND
2 EXPERIENCE THAT CANCER STEM CELLS ARE EXTREMELY
3 RESISTANT TO ELIMINATION WITH EXISTING THERAPIES.
4 AND THE PERSISTENCE OF CANCER STEM CELLS IN PATIENTS
5 WHO ARE IN REMISSION AFTER TREATMENT IS A MAJOR
6 CAUSE OF RELAPSE IN THESE PATIENTS.

7 AND OUR REASONING WAS THAT IF WE CAN
8 DEVELOP AGENTS THAT CAN SELECTIVELY AND EFFECTIVELY
9 TARGET AND ELIMINATE LEUKEMIA STEM CELLS, THAT WE
10 COULD POTENTIALLY PROVIDE A CURE FOR LEUKEMIA AND
11 NOT MERELY A TREATMENT FOR THESE PATIENTS.

12 SO OUR PROPOSAL WAS TO DEVELOP A SMALL
13 MOLECULE TO ENERVATE THE SIRT1 DEACETYLASE GENE.
14 DR. CHEN AND I HAVE DISCOVERED THAT SIRT1 PLAYS A
15 KEY ROLE IN SURVIVAL AND GROWTH OF LEUKEMIA STEM
16 CELLS. THERE IS TOO MUCH SIRT ACTIVITY IN LEUKEMIA
17 STEM CELLS FROM PATIENTS WITH AML AND CML. AND THE
18 INCREASED SIRT1 ACTIVITY PLAYS A ROLE IN PROMOTION
19 OF NEW MUTATIONS IN THESE STEM CELLS, AND THESE
20 MUTATIONS CONTRIBUTE TO PROGRESSION OF DISEASE AND
21 RESISTANCE TO TREATMENT.

22 OUR STUDIES HAVE SHOWN THAT SIRT1 IS NOT
23 ONLY CRITICAL FOR THE PERSISTENCE OF LEUKEMIA STEM
24 CELLS IN THESE CANCERS, BUT THAT IT ALSO PLAYS A
25 ROLE IN THEIR RESISTANCE TO EXISTING TREATMENT. WE

BARRISTERS' REPORTING SERVICE

1 HAVE SHOWN THAT IF SIRT1 IS INHIBITED, LEUKEMIA STEM
2 CELLS STOP DIVIDING, THE DEATH RATE INCREASES, AND
3 NEW MUTATIONS ARE PREVENTED FROM TAKING PLACE.
4 THIS, I THINK, IS VERY IMPORTANT AND DISTINGUISHES
5 THIS APPROACH FROM SEVERAL OTHER APPROACHES WHICH
6 ARE AVAILABLE.

7 CHAIRMAN KLEIN: AND YOUR DEMONSTRATION OF
8 THIS WAS WITH DATA THAT WAS IN THE APPLICATION?

9 DR. BHATIA: THE DATA IS IN THE
10 APPLICATION.

11 CHAIRMAN KLEIN: DID THE REVIEWERS TAKE
12 ISSUE WITH THAT DATA?

13 DR. BHATIA: NO, THEY DID NOT. I THINK
14 THEY APPRECIATED THAT DATA.

15 IMPORTANTLY, SIRT1 INHIBITION ALSO MAKES
16 LEUKEMIA STEM CELLS MORE SENSITIVE TO EXISTING
17 TREATMENT. IT'S IMPORTANT TO NOTE THAT SIRT1 IS
18 SELECTIVE FOR CANCER STEM CELLS. IT'S NOT TOXIC TO
19 NORMAL HEMATOPOETIC STEM CELLS AND IS, THEREFORE,
20 EXPECTED TO HAVE A HIGH THERAPEUTIC INDEX.

21 WE FEEL ON THE BASIS OF THESE FINDINGS
22 THAT SIRT1 IS CLEARLY A NOVEL AND ATTRACTIVE TARGET
23 FOR DRUG DEVELOPMENT TO TARGET LEUKEMIA STEM CELLS.

24 ONE OF THE ISSUES THAT WAS BROUGHT UP BY
25 THE REVIEWERS WAS THAT THERE WERE EXISTING SIRT1

BARRISTERS' REPORTING SERVICE

1 INHIBITORY DRUGS, BUT WE'D LIKE TO POINT OUT
2 RESPECTFULLY THAT WE'RE NOT AWARE OF ANY SIRT1
3 INHIBITORY DRUGS AT PRESENT WHICH ARE IN CLINICAL
4 PRACTICE OR DEVELOPMENT. WE ARE PROPOSING TO
5 DEVELOP A POTENT DRUG TO INHIBIT SIRT1. AND DR.
6 CHEN HAS ALREADY IDENTIFIED A COUPLE OF VERY
7 PROMISING NOVEL LEAD COMPOUNDS THAT TARGET THE
8 ENZYME IN A 1-TO-5 MICROMOLAR CONCENTRATION. AND HE
9 PROPOSES TO FURTHER MODIFY THESE COMPOUNDS TO
10 DEVELOP A POTENT DRUG. AND FOR THIS PURPOSE, WE
11 PLAN TO USE THE OUTSTANDING RESOURCES TOWARDS DRUG
12 DEVELOPMENT THAT ARE AVAILABLE AT OUR INSTITUTION.

13 WE SEE THIS STRATEGY AS A POTENTIAL CURE
14 FOR LEUKEMIA. AND I'D JUST LIKE TO EMPHASIZE AGAIN
15 WHILE MANY APPROACHES ARE BEING CONSIDERED TO TARGET
16 LEUKEMIA STEM CELLS, WE FEEL THAT THIS APPROACH IS
17 UNIQUE IN THAT IT NOT ONLY KILLS LEUKEMIA STEM
18 CELLS, BUT ALSO PREVENTS THEM FROM ACQUIRING NEW
19 MUTATIONS THAT CONTRIBUTE TO PROGRESSION AND DRUG
20 RESISTANCE.

21 WE PLAN TO INITIALLY APPLY THIS ANTI-SIRT1
22 DRUG TO CML AND AML, CHRONIC MYELOID LEUKEMIA AND
23 ACUTE MYELOID LEUKEMIA. THERE ARE ABOUT 5,000 NEW
24 CASES OF CML AND 13,000 NEW CASES OF AML EVERY YEAR.
25 NOW, FOR CML WE HAVE A GREAT TREATMENT, WHICH IS

BARRISTERS' REPORTING SERVICE

1 GLEEVEC, BUT WHAT GLEEVEC HAS DONE IS TO MAKE CML A
2 CHRONIC DISEASE BECAUSE IT IS NOT CAPABLE OF
3 ELIMINATING THE LEUKEMIA STEM CELLS THAT GENERATE
4 THE DISEASE.

5 AND AS A RESULT, IT'S PROJECTED THAT THERE
6 ARE GOING TO BE A QUARTER MILLION PATIENTS WITH CML
7 IN THE U.S. BY 2040, AND MOST OF THESE PATIENTS ARE
8 GOING TO NEED CONTINUED TREATMENT WITH GLEEVEC FOR
9 LIFE BECAUSE OF PERSISTENT LEUKEMIA STEM CELLS. WE
10 KNOW THAT DISCONTINUATION GLEEVEC LEADS TO RELAPSE
11 OF DISEASE IN OVER 90 PERCENT OF CML PATIENTS.
12 UNFORTUNATELY CONTINUED GLEEVEC TREATMENT IS
13 ASSOCIATED WITH RISK OF SIDE EFFECTS, THE
14 POSSIBILITY OF NONCOMPLIANCE, AND DRUG RESISTANCE.
15 AND IT'S ALSO ASSOCIATED WITH CONSIDERABLE EXPENSE,
16 50,000 PER YEAR OR UPWARDS PER PATIENT.

17 IN CASE OF AML, WE KNOW THAT THE SURVIVAL
18 OF AML PATIENTS WITH CURRENT TREATMENTS IS ONLY IN
19 THE RANGE OF ABOUT 25 PERCENT. SO WE, THEREFORE,
20 BELIEVE THAT DEVELOPMENT OF CURATIVE APPROACHES FOR
21 AML AND CML BASED ON ERADICATION OF LEUKEMIA STEM
22 CELLS HAVE OBVIOUS BENEFITS TO PATIENTS, BUT ALSO
23 TOWARDS HEALTH COSTS AND SIGNIFICANT BENEFITS TO
24 SOCIETY.

25 WE ALSO KNOW THAT SIRT1 IS OVEREXPRESSED

BARRISTERS' REPORTING SERVICE

1 IN OTHER CANCERS, INCLUDING BREAST CANCER AND
2 PROSTATE CANCER. SO THAT THIS APPROACH IS LIKELY TO
3 BE NOT ONLY APPLICABLE TO LEUKEMIA, BUT MAY BE
4 APPLICABLE TO SOLID TUMORS IN THE FUTURE. AND DR.
5 CHEN HAS PRELIMINARY DATA SHOWING THE IMPORTANCE OF
6 SIRT1 IN PROSTATE CANCER.

7 SO, IN SUMMARY, WE FEEL THAT THERE IS A
8 STRONG SCIENTIFIC RATIONALE FOR DEVELOPING A SIRT1
9 INHIBITOR TO SELECTIVELY TARGET CANCER STEM CELLS,
10 AND WE FEEL THAT SUCH AN AGENT COULD BE A MAJOR
11 ADVANCE IN CANCER STEM CELL THERAPY AND COULD HAVE A
12 MAJOR IMPACT ON PATIENTS WITH LEUKEMIAS AND OTHER
13 CANCERS. THANK YOU FOR YOUR ATTENTION.

14 CHAIRMAN KLEIN: DR. PIZZO. LET US MAKE
15 SURE WE HAVE A LIST IDENTIFYING CONFLICTS SO WE'RE
16 NOT GOING TO ASK QUESTIONS IF WE HAVE CROSS-CHECKED
17 WITH OUR LIST OF CONFLICTS AND HAVE IDENTIFIED A
18 CONFLICT. THANK YOU.

19 DR. PIZZO: THIS IS A BROADER SCIENTIFIC
20 QUESTION. SO I UNDERSTAND, OF COURSE, THE
21 SPECIFICITY OF GLEEVEC AS A TK INHIBITOR AND HOW IT
22 RELATES TO THE C-ABL GENE. I DON'T UNDERSTAND THE
23 SPECIFICITY OF SIRT1 TO LEUKEMIA. CAN YOU BE MORE
24 SPECIFIC AS TO WHY THAT WOULD BE A TARGET WITH A
25 SIMILAR DEGREE OF SPECIFICITY THAT MIGHT, IN FACT,

BARRISTERS' REPORTING SERVICE

1 ACHIEVE THE AIM THAT YOU PUT FORTH?

2 DR. BHATIA: THAT'S A VERY GOOD QUESTION.
3 THAT'S ONE OF THE THINGS THAT WE'RE STRUGGLING WITH
4 AS WE ARE TRYING TO TARGET LEUKEMIA STEM CELLS. AND
5 WHAT WE KNOW IS THAT GLEEVEC IS ACTUALLY ACTIVE IN
6 THE LEUKEMIA STEM CELLS. IT INHIBITS THE BCR-ABL
7 KINASE IN THOSE STEM CELLS, AND IT TAKES AWAY THE
8 LEUKEMOGENIC CAPACITY, BUT IT DOES NOT ELIMINATE
9 THOSE CELLS. IT'S NOT REQUIRED FOR THE SURVIVAL OF
10 THOSE CELLS. AND SO WE WERE, THEREFORE, LOOKING FOR
11 OTHER TARGETS THAT DIFFERENTIATED LEUKEMIC FROM
12 NORMAL CELLS.

13 SIRT1 IS OVEREXPRESSED IN LEUKEMIA STEM
14 CELLS, AND SIRT1 IS A STRESS RESPONSE GENE. IT'S
15 ACTUALLY A GENE ASSOCIATED WITH AGING AND CANCER,
16 AND WE KNOW THAT IT'S OVEREXPRESSED IN THOSE CELLS.
17 ACTUALLY GLEEVEC -- AND WE KNOW SOME OF THE
18 MECHANISM BY WHICH SIRT1 ACTS. IT ACTS THROUGH P53,
19 AND IT ACTS THROUGH NONHOMOLOGOUS ENJOINING DOUBLE
20 STRAND BREAK REPAIR. THESE PATHWAYS CONTINUE TO BE
21 ABNORMAL IN CML CELLS EVEN AFTER GLEEVEC TREATMENT.

22 ONE OF THE THINGS THAT WE'RE FINDING IS
23 THAT SIRT1 ACTUALLY ACTIVATES P53 IN CML STEM CELLS.
24 AND NOW WITH THE ACTIVATION OF P53 AND DEVELOPMENT
25 OF A P53 RESPONSE, THESE CELLS ARE NOW MORE

BARRISTERS' REPORTING SERVICE

1 SENSITIVE TO GLEEVEC. SO IT'S ACTUALLY THE
2 COMBINATION OF GLEEVEC WITH THE SIRT1 INHIBITION
3 THAT GIVES US THE THERAPEUTIC INDEX IN CML.

4 CHAIRMAN KLEIN: ADDITIONAL QUESTIONS FROM
5 THE BOARD?

6 MS. SAMUELSON: I HAVE A COUPLE, BOB.
7 COULD YOU DESCRIBE THE SUPPORT YOU HAVE FROM THE
8 INSTITUTION?

9 DR. BHATIA: SO AT CITY OF HOPE IN THE
10 CANCER CENTER WE HAVE A DEVELOPMENTAL CANCER
11 THERAPEUTICS PROGRAM, AND THIS IS HEADED BY DR.
12 RICHARD JOVE WHO'S THE DIRECTOR OF THE BECKMAN
13 RESEARCH INSTITUTE. HE HAS DONE AN EXCELLENT JOB IN
14 RECRUITING A TEAM OF INDIVIDUALS WHO CAN WORK WITH
15 MEMBERS OF THE CANCER CENTER TOWARDS DRUG
16 DEVELOPMENT. SO WE'VE RECRUITED DR. DAVID HORNE,
17 WHO IS A MEDICINAL CHEMIST, WHO HAS RECRUITED A TEAM
18 OF INDIVIDUALS WHO WORK WITH STRUCTURAL BIOLOGY,
19 MAKING MODIFICATIONS TO DRUGS, DOING SCREENINGS TO
20 PROVIDE ALL THE SORT OF FACILITIES THAT WE NEED IN
21 ORDER TO WORK TOWARDS DRUG DEVELOPMENT.

22 AND WE ALSO HAVE A VERY ACTIVE GROUP AT
23 CITY OF HOPE THAT ALSO HELPS US WITH REGULATORY
24 ISSUES WITH THE PROCESSES THAT ARE REQUIRED IN TERMS
25 OF BRINGING A DRUG TO THE CLINIC. AND SO THIS TEAM

BARRISTERS' REPORTING SERVICE

1 HAS ALREADY SORT OF BEEN SUCCESSFUL IN WORKING WITH
2 A RIBONUCLEOTIDE REDUCTASE WHICH IS DEVELOPED
3 IN-HOUSE, BASED ON SCREENING DONE IN-HOUSE, AND THEN
4 THE DRUG WAS DEVELOPED IN-HOUSE AND IS NOW GOING
5 INTO CLINICAL TRIALS.

6 MS. SAMUELSON: ONE MORE QUESTION.
7 THERE'S SOME CONCERN THAT THERE WAS OVERRELIANCE ON
8 A MOUSE MODEL. CAN YOU SPEAK TO THAT?

9 DR. BHATIA: AND WE SAW THAT CONCERN.
10 AND, YOU KNOW, I THINK THIS IS ALMOST A
11 PHILOSOPHICAL ISSUE IN TERMS OF DRUG DEVELOPMENT
12 BECAUSE WE KNOW THAT WE DEVELOP A NUMBER OF DRUG
13 CANDIDATES WHICH ARE TAKEN INTO CLINIC AND ARE NOT
14 SUCCESSFUL. AND I THINK ONE OF THE PROBLEMS WITH
15 OUR DRUG DEVELOPMENT PROCESS AS IT IS IS NOT USING
16 RELEVANT MODELS TO TEST EFFICACY GOING FORWARD.

17 SO OBVIOUSLY THE INITIAL SCREENING WOULD
18 BE DONE USING IN VITRO ASSAYS AND BIOCHEMICAL
19 ASSAYS, BUT WE WOULD EVENTUALLY LIKE TO SHOW
20 EFFICACY IN A RELEVANT IN VIVO MODEL. AND WE'RE
21 PLANNING TWO IN VIVO MODELS. ONE IS A MOUSE MODEL
22 OF CML AND THE OTHER IS HUMAN CML CELLS ENGRAFTED IN
23 IMMUNODEFICIENT MICE.

24 WE REALIZE THAT THESE MODELS ARE IMPERFECT
25 AS ALL MODELS ARE RIGHT NOW. THERE'S NO LARGE

BARRISTERS' REPORTING SERVICE

1 ANIMAL MODEL AVAILABLE FOR LEUKEMIA FOR US TO LOOK
2 AT, BUT THESE ARE THE BEST AVAILABLE MODELS THAT WE
3 HAVE RIGHT NOW. SO WE DO WANT TO TEST THESE DRUGS
4 ON THOSE MODELS BEFORE TAKING THEM OUT.

5 MS. SAMUELSON: YOU SAID THERE'S NO
6 LARGE --

7 DR. BHATIA: THERE'S NO LARGE ANIMAL
8 MODEL.

9 DR. TROUNSON: JUST WHILE THE APPLICANT'S
10 THERE, CHAIR, WE AGREE THAT THERE'S NO REAL SPECIFIC
11 INHIBITORS FOR SIRT1 BECAUSE THERE'S NO CRYSTAL
12 STRUCTURE AVAILABLE, AND THE REVIEWERS NOTED THIS.
13 AND THE APPLICANT PROPOSED TO CRYSTALLIZE SIRT1,
14 WHICH HAS NEVER REALLY EVER BEEN DONE, AND SOLVE THE
15 STRUCTURE. THIS IS A LARGE BASIC EFFORT. IT'S NOT
16 REALLY THE TYPE OF WORK ENVISAGED UNDER THIS RFA.

17 CHAIRMAN KLEIN: COULD YOU SAY THAT AGAIN,
18 DR. TROUNSON?

19 DR. TROUNSON: WELL, IN ORDER TO FIND A
20 SPECIFIC INHIBITOR FOR SIRT1, THEY NEED TO WORK OUT
21 THE CRYSTAL STRUCTURE. THEY'VE NEVER BEEN ABLE TO
22 DO THIS UP UNTIL NOW. SO THAT'S A PRETTY MAJOR
23 EXERCISE TO DO THAT, AND THAT'S NOT WHAT WE'D
24 NORMALLY EXPECT TO SEE ACCOMPANYING THIS KIND OF DC
25 APPLICATION. THAT WAS ONE POINT. AND SO I'D BE

BARRISTERS' REPORTING SERVICE

1 INTERESTED TO HEAR WHAT THE APPLICANT MIGHT RESPOND
2 TO THAT.

3 THE OTHER THING IS THAT THE GLEEVEC
4 ALREADY DECREASES SIRT1. IT WASN'T REALLY CLEAR TO
5 THE REVIEWERS WHY A SECOND COMPOUND WOULD ADD VALUE.
6 WHY WOULD IT ADD VALUE TO ADD ON A SECOND COMPOUND?
7 ALSO FELT THAT IF GLEEVEC DOWNREGULATES SIRT1, IT'S
8 UNLIKELY THAT SIRT1 IS CRITICAL FOR THE LEUKEMIC
9 STEM CELL PERSISTENCE. THIS WAS THE REVIEWERS'
10 THOUGHT, THAT THAT HADN'T ADEQUATELY DEMONSTRATED.
11 IF YOU'VE GOT A DRUG THAT ACTUALLY DOES IT, MAYBE IT
12 DOESN'T MATTER IF YOU DECREASE IT. YOU ARE GOING TO
13 GET THIS REBOUND. SO WHY ADD THE SECOND COMPOUND
14 THAT MIGHT CAUSE THE SAME REDUCTION IN REBOUND? OR
15 WHAT'S THE REASONING FOR USING A SECOND DRUG? IS IT
16 A PRIMARY OR A SECONDARY TARGETING TYPE OF APPROACH?

17 SO THESE WERE TWO QUITE CORE TO HOW THE
18 REVIEWERS FELT ABOUT THE PROJECT, THAT THERE WAS
19 STILL A LOT OF QUESTIONS OVER THIS APPROACH. AND
20 THAT'S WHY THEY DIDN'T -- IT WASN'T SORT OF ELEVATED
21 BASICALLY BECAUSE OF THOSE SORT OF REASONINGS.

22 CHAIRMAN KLEIN: SO COULD YOU ADDRESS THE
23 TWO QUESTIONS DR. TROUNSON HAS RAISED FOR YOU?

24 DR. BHATIA: I CAN. SO THE FIRST QUESTION
25 WAS RELATED TO THE CRYSTAL STRUCTURE. AND I THINK

BARRISTERS' REPORTING SERVICE

1 THIS WAS AN ISSUE OF, I THINK, AN ERROR IN
2 GRANTSMANSHIP. THIS IS SOMETHING THAT WE WOULD WANT
3 TO DO, AND WE REALIZE THAT IT WOULD BE -- IT COULD
4 POTENTIALLY BE A CHALLENGE TO GET THIS RESOLVED
5 WITHIN THE PERIOD OF TIME OF THIS GRANT. I WANT TO
6 EMPHASIZE THAT WE ALREADY HAVE TWO COMPOUNDS WHICH
7 ARE WORKING IN THE LOW MICROMOLAR RANGE. AND THE
8 INITIAL PLAN IS TO MODIFY THESE COMPOUNDS, USE A
9 PHARMACO MODEL, AND BASICALLY IDENTIFY ADDITIONAL
10 COMPOUNDS WITH INCREASED ACTIVITY. AND THAT IS
11 ACTUALLY THE FIRST ITERATION OF WHAT WE'RE PLANNING
12 TO DO.

13 WE DID PLAN TO -- WE HAVE JOHN WILLIAMS AT
14 CITY OF HOPE WHO IS AN OUTSTANDING STRUCTURAL
15 BIOLOGIST WHO IS WORKING WITH US IN TERMS OF SOLVING
16 THE CRYSTAL STRUCTURE. THAT WAS TO BE FOR A SECOND
17 ROUND OF ITERATION TO TRY AND DEVELOP DRUGS WHICH
18 WERE EVEN BETTER THAN THOSE THAT WE COULD DEVELOP IN
19 THE FIRST ROUND OF ITERATION. THAT MAY HAVE BEEN
20 TOO COMPLICATED, I GUESS, IN TERMS OF HOW WE SHOULD
21 HAVE PRESENTED THIS FOR THIS PROPOSAL.

22 THE OTHER QUESTION WAS RELATED TO IMATINIB
23 IN SIRT1. SO THE REGULATION OF SIRT1 IS COMPLEX,
24 AND WE DO SEE A DECREASE IN LEVEL OF EXPRESSION OF
25 SIRT1 WITH IMATINIB, BUT WE DO NOT SEE A REDUCTION

BARRISTERS' REPORTING SERVICE

1 IN SIRT1 ACTIVITY. SO IF YOU LOOK AT SIRT1 TARGETS
2 SUCH AS P53 AND ITS ACETYLTATION, THOSE ARE NOT
3 AFFECTED BY IMATINIB TREATMENT. SO THAT'S WHY IT'S
4 FELT THAT SIRT1 IS A KINASE INDEPENDENT TARGET IN
5 CML. IT'S NOT DEPENDENT ON THE BCR-ABL KINASE.

6 AND, AGAIN, I APOLOGIZE THAT THIS WAS NOT
7 MADE ABSOLUTELY CLEAR IN THE GRANT PROPOSAL.

8 CHAIRMAN KLEIN: ALL RIGHT.

9 DR. LOVE: I THINK THERE'S AT LEAST ONE
10 COMPANY THAT I KNOW OF THAT SUPPOSEDLY HAS A POTENT
11 SIRT1 INHIBITOR, A COMPANY CALLED ELIXIR. I CAN'T
12 REMEMBER THE INDICATION, BUT IT MIGHT RELATE -- YOU
13 MAY KNOW. SO MY QUESTION REALLY IS IF THERE ARE
14 ALREADY POTENT INHIBITORS THAT HAVE BEEN IDENTIFIED,
15 HAVE YOU THOUGHT ABOUT USING SOME OF THOSE
16 INHIBITORS IN SOME OF THE MODELS TO VALIDATE THE
17 CONCEPT BEFORE GOING BACK TO MEDICINAL CHEMISTRY?

18 DR. BHATIA: WE HAVE USED OTHER DRUGS THAT
19 HAVE SIRT1 ACTIVITY IN OUR MODEL TO SHOW THAT THIS
20 IS A DRUGABLE TARGET. SO AS FAR AS THAT'S
21 CONCERNED, I THINK SOME OF THE DATA WAS IN THE GRANT
22 AS WELL.

23 WE HAVE USED ONE OF THE EX COMPOUNDS, BUT
24 THAT COMPOUND WAS NOT VERY POTENT IN OUR HANDS. SO
25 I DON'T KNOW IF IT'S THE SAME COMPOUND THAT YOU'RE

BARRISTERS' REPORTING SERVICE

1 TALKING ABOUT.

2 DR. LOVE: ELIXIR.

3 DR. BHATIA: IT'S AN E-X SOMETHING.

4 CHAIRMAN KLEIN: OKAY. OTHER POINTS?

5 THANK YOU VERY MUCH.

6 DR. LOVE: I HAVE ONE OTHER QUESTION.

7 HAVE PEOPLE USED THINGS LIKE RNAI TO INHIBIT SIRT1?

8 THAT SHOULD WORK PRETTY EFFECTIVELY.

9 DR. BHATIA: THAT'S THE METHOD THAT WE
10 HAVE USED TO TEST IT AS A TARGET. SO OUR RESULTS
11 ARE BASED ON RNAI BASE KNOCK-DOWN AS WELL AS THE USE
12 OF A KNOCKOUT MOUSE.

13 CHAIRMAN KLEIN: OKAY. THANK YOU VERY
14 MUCH.

15 1768, THERE'S A PRESENTATION FROM THE
16 AUDIENCE. DOES ANYONE WANT STAFF PRESENTATION
17 BEFORE THAT? 1768 FROM THE AUDIENCE, PLEASE.
18 PLEASE IDENTIFY YOURSELF.

19 DR. DENG: I'M SOPHIE DENG. I'M THE PI OF
20 THIS PROPOSAL. I'M AN OPHTHALMOLOGIST SPECIALIZED
21 IN CORNEAL TRANSPLANT, LIMBAL STEM CELL DEFICIENCY,
22 AND OCULAR SURFACE RECONSTRUCTION. I DEVOTE HALF MY
23 TIME TO PATIENT CARE, THE OTHER HALF OF MY TIME TO
24 TRANSLATIONAL RESEARCH.

25 THE LONG-TERM GOAL OF MY RESEARCH IS TO

BARRISTERS' REPORTING SERVICE

1 DEVELOP NEW CLINICAL TESTS TO ACCURATELY DIAGNOSE
2 AND STAGE LIMBAL STEM CELL DEFICIENCY OR CORNEAL
3 EPITHELIAL STEM CELL DEFICIENCY AND TO DEVELOP
4 EFFECTIVE AND SAFE TREATMENT FOR THIS EYE DISEASE.

5 DURING MY LAST THREE YEARS AT THE JULES
6 STEIN EYE INSTITUTE AT UCLA, I HAVE SEEN MANY, MANY
7 OF THESE PATIENTS WITH LIMBAL STEM CELL DEFICIENCY
8 WHO ARE IN NEED OF TREATMENT TO REGAIN THEIR SIGHT.

9 THIS IS A VERY DEVASTATING DISEASE AND CAN
10 BE CURED WITH LIMBAL STEM CELL TRANSPLANT. THE
11 IDEAL TREATMENT, AS YOU KNOW, IS TO REGENERATE THESE
12 LIMBAL STEM CELL AND THEN TRANSPLANT IT BACK TO THE
13 PATIENT'S EYE, OR THIS IS A PATIENT-SPECIFIC LIMBAL
14 STEM CELL-BASED THERAPY.

15 THIS THERAPY IS AVAILABLE IN EUROPE AND
16 ASIA, AND IT HAS A THREE-YEAR SUCCESS RATE AS HIGH
17 AS 68 PERCENT, WHICH WAS PUBLISHED TWO MONTHS AGO IN
18 THE *NEW ENGLAND JOURNAL OF MEDICINE*.

19 THE MOST EFFICIENT METHOD TO GROW THIS
20 STEM CELL REQUIRES THE MOUSE FEEDER CELLS, WHICH
21 ALSO REQUIRE CALF SERUM. BECAUSE USE OF THESE
22 ANIMAL PRODUCTS MAKES IT NEARLY IMPOSSIBLE TO PASS
23 THE FDA BECAUSE OF THE POTENTIAL CROSS
24 CONTAMINATION, THEREFORE, THE FIRST PART OF OUR
25 PROPOSAL IS TO ESTABLISH A NEW CULTURING SYSTEM THAT

BARRISTERS' REPORTING SERVICE

1 DOES NOT REQUIRE ANY ANIMAL PRODUCT SO THAT WE CAN
2 BRING THIS THERAPY TO THE UNITED STATES AND BE
3 AVAILABLE TO THE PATIENT. AND THAT WOULD BE THE
4 FIRST PART OF -- A STARTING POINT IN THE FOUNDATION
5 FOR DEVELOPING THE NEW TREATMENT IN THE FUTURE.

6 IN SUBSEQUENT PART OF THE PROPOSAL, WE
7 PLAN TO FURTHER IMPROVE THE EXPANSION EFFICIENCY OF
8 THE STEM CELL POPULATION SPECIFICALLY BY USING A
9 NOVEL APPROACH, USING A SMALL MOLECULE TO MODULATE
10 THE PROLIFERATION AND MEANWHILE INHIBITING THE
11 DIFFERENTIATION OF LIMBAL STEM CELLS. THIS WILL
12 IMPROVE THE LONG-TERM CLINICAL OUTCOME BECAUSE YOU
13 GENERATE LARGER PORTIONS OF THE STEM CELL POPULATION
14 FOR TRANSPLANTATION.

15 FOR PATIENTS WHO HAVE TOTAL LIMBAL STEM
16 CELL DEFICIENCY MEANS THAT THERE'S NO STEM CELL THEY
17 CAN EXPAND ANYMORE, THEN AN ALTERNATIVE CELL
18 POPULATION IS NECESSARY. WE PROPOSE TO
19 TRANSDIFFERENTIATE SKIN STEM CELLS INTO THE CORNEAL
20 STEM CELLS. AND WE SPECIFICALLY DESIGNED THE
21 EXPERIMENT IN A WAY THAT ANY POSITIVE FINDING CAN BE
22 APPLIED DIRECTLY TO THE CLINICAL APPLICATION.

23 SO IN SUMMARY, OUR APPLICATION WE WILL
24 FIRST ENABLE THE INITIATION OF THE PHASE I AND II
25 CLINICAL TRIAL TO START PATIENT-SPECIFIC STEM CELL

BARRISTERS' REPORTING SERVICE

1 THERAPY FOR PARTIAL OR UNILATERAL LIMBAL STEM CELL
2 DEFICIENCY. IN ADDITION, THE SUBSEQUENT RESEARCH IN
3 THIS PROPOSAL HAS THE GREAT POTENTIAL TO DEVELOP
4 NOVEL AND MORE EFFICIENT BIOENGINEERING METHODS TO
5 REGENERATE LIMBAL STEM CELL FOR TRANSPLANTATION.

6 AND WE BELIEVE THAT WE HAVE A TEAM WITH
7 EXPERTISE IN THE LIMBAL STEM CELL BIOLOGY, CLINICAL
8 AND TRANSLATION RESEARCH, THE REAGENTS, AND AN
9 ENVIRONMENT NECESSARY FOR OUR PROJECT. THANK YOU
10 VERY MUCH FOR YOUR CONSIDERATION. AND HOPEFULLY IN
11 THE NEAR FUTURE I WILL NOT TURN AWAY MY PATIENTS AND
12 TELLING THEM THAT, NO, WE DON'T HAVE THIS THERAPY IN
13 THE UNITED STATES. YOU CAN GO TO ITALY OR JAPAN FOR
14 YOUR THERAPY. HOPEFULLY IN THE FUTURE, WE CAN SAY,
15 YES, I CAN OFFER YOU THIS PATIENT-SPECIFIC THERAPY
16 IN CALIFORNIA. THANK YOU.

17 CHAIRMAN KLEIN: THANK YOU VERY MUCH. ANY
18 MEMBER? JEFF SHEEHY. ANY OTHER MEMBERS?

19 MR. SHEEHY: SO I JUST WANT TO SET THIS
20 UP. NO. 1, THIS IS A DEVELOPMENT CANDIDATE
21 FEASIBILITY, SO THIS IS THE LOW END. IT'S 1.5
22 MILLION, RIGHT. SO WHAT YOU WANT TO DO, YOU HAVE A
23 PROCEDURE THAT PEOPLE ARE DOING RIGHT NOW IN ITALY,
24 THEY'RE DOING RIGHT NOW IN JAPAN THAT YOU CANNOT DO
25 IN THE UNITED STATES. WE KNOW IT WORKS BECAUSE THE

BARRISTERS' REPORTING SERVICE

1 FEEDER CELLS THAT THEY CULTURE THESE CELLS, THEY
2 TAKE FROM A PATIENT'S OWN CELLS. SO THIS IS AN
3 AUTOLOGOUS TRANSPLANT.

4 SO YOUR FIRST AIM IS JUST TO DO THIS ON
5 ANIMAL-FREE FEEDER. WHY WOULDN'T WE TRY TO SEE IF
6 THAT WAS FEASIBLE SO THAT PEOPLE -- DEVELOP THIS
7 TECHNOLOGY HERE IN A WAY THAT THE FDA WOULD APPROVE
8 IT. THAT JUST SEEMS LIKE A NO-BRAINER. AND THE
9 AMOUNT OF MONEY WE'RE TALKING ABOUT INVESTING HERE
10 IS RELATIVELY INSIGNIFICANT IF WE CAN HELP PEOPLE
11 SEE.

12 THE OTHER AIMS, JUST ON THAT ALONE, I CAN
13 IMAGINE YOU WOULD BE IN A CLINICAL TRIAL FAIRLY
14 QUICKLY AS SOON AS YOU DEVELOPED A SAFE FEEDER
15 PROCESS, AND THERE MUST BE ALL SORTS OF FEEDER --

16 DR. DENG: YES. WE HAVE THREE CANDIDATES
17 IN OUR PROPOSAL.

18 MR. SHEEHY: THIS SEEMS TO ME --

19 DR. TROUNSON: WELL, YOU GOT TO HAVE
20 HEALTHY LIMBAL CELLS. SO I UNDERSTOOD IN THESE
21 DISEASES THERE ARE NO HEALTHY LIMBAL CELLS IN THESE
22 PATIENTS.

23 MR. SHEEHY: WHAT ARE THEY USING IN
24 EUROPE? THEY'RE TAKING CELLS IN EUROPE FROM --
25 THERE ARE AUTOLOGOUS TRANSPLANTS THAT ARE GOING ON

BARRISTERS' REPORTING SERVICE

1 RIGHT NOW IN EUROPE.

2 CHAIRMAN KLEIN: WHY DON'T WE GET THE
3 SCIENTIST WHO IS THE PI TO COMMENT ON THAT, AND
4 LET'S GO TO THE LITTLE FURTHER.

5 DR. DENG: YES. THIS IS A SPECIAL
6 DISEASE. I THINK THERE'S A MISUNDERSTANDING OF THE
7 PROPOSAL AND ALSO THE APPLICATION TOO. THERE ARE
8 TWO. ONE IS PARTIAL LIMBAL STEM CELL DEFICIENCY,
9 MEANING THAT IN THIS EYE, THERE'S DEFICIENCY, BUT
10 NOT TO A TOTAL LIMBAL STEM CELL DEFICIENCY. THAT
11 MEANS THERE IS A SMALL POPULATION OF STEM CELLS
12 STILL ASSIST IN THIS EYE SO THEY CAN BIOPSY IT AND
13 EXPAND THEM IN CULTURE. SO THE APPLICATION IN THE
14 *NEW ENGLAND JOURNAL OF MEDICINE* IS FOR THOSE
15 POPULATIONS THAT STILL HAVE STEM CELLS EITHER IN ONE
16 EYE OR BOTH EYES.

17 SO FOR TOTAL LIMBAL STEM CELL DEFICIENCY,
18 EXACTLY WHAT YOU REFER TO, THERE'S NO MORE STEM CELL
19 ON THIS OCULAR SURFACE, THEN THERE'S NO CELLS TO BE
20 EXPAND. FOR THOSE WE PROPOSED TO TRANSDIFFERENTIATE
21 THE SKIN STEM CELL, WHICH IS ABUNDANT ON THE
22 PATIENT, AND THEN TRANSDIFFERENTIATE THEM INTO THE
23 CORNEAL PHENOTYPE. SO WE HAVE TARGETED BOTH
24 POPULATION OF PATIENTS.

25 DR. TROUNSON: SO YOU'VE GOT TO HAVE AT

BARRISTERS' REPORTING SERVICE

1 LEAST SOME LIMBAL CELLS THERE IN ONE EYE OR THE
2 OTHER IN ORDER TO DO IT. THAT'S REALLY CRITICAL.
3 IF PATIENTS HAVE LOST THOSE LIMBAL CELLS, THEN YOU
4 HAVE TO GO TO ANOTHER CELL TYPE. I THINK THAT WAS
5 THE ISSUES OF AIMS 2 AND 3. WHAT SORT OF CELLS
6 WOULD YOU USE, AND HOW WOULD YOU GET THERE?

7 MS. SAMUELSON: JUST SO I UNDERSTAND, WHAT
8 WAS THE ANSWER TO THAT? THERE ARE SOME HEALTHY
9 CELLS?

10 DR. DENG: AS I MENTIONED, THERE'S TWO --
11 THIS IS A SPECIAL DISEASE. FOR THOSE PATIENTS STILL
12 HAS STEM CELLS, REMAINING STEM CELLS, WE CAN EXPAND
13 THEM. THIS IS THE PROPOSAL AIM 1 AND 2 BEFORE
14 END-STAGE DISEASE BECAUSE YOU HAVE FROM EARLY STAGE,
15 PARTIAL TO SEVERE TO END STAGE. WHEN IT'S THE END
16 STAGE, THERE'S NO MORE STEM CELL ASSIST. THEN WE
17 NEED TO LOOK FOR ALTERNATIVE CELL SOURCE. THAT
18 WOULD BE AIM 3 OF OUR PROPOSAL.

19 CHAIRMAN KLEIN: SO DID YOU SAY THAT THE
20 PARTIAL -- THE CONDITION WHERE IT'S PARTIAL APPLIES
21 TO AIMS 1 AND 2?

22 DR. DENG: YES.

23 CHAIRMAN KLEIN: IT'S AIM 3 THAT IS THE
24 TOTAL?

25 DR. DENG: YES.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN KLEIN: DR. PIZZO.

2 DR. PIZZO: FIRST JUST A GENERAL A
3 STATEMENT. THIS WILL SOUND CRITICAL, JEFF, SO
4 PLEASE BEAR WITH ME. THERE ARE LOTS OF THINGS THAT
5 ARE GIVEN AROUND THE WORLD, INCLUDING STEM CELLS,
6 AND THEY DON'T NECESSARILY WORK AND WE DON'T EMBRACE
7 THEM. I DON'T KNOW THE DATA WITH REGARD TO HOW WELL
8 THIS, QUOTE, WORKS IN OTHER COUNTRIES. HAVE WE
9 LOOKED INTO THAT? IS THERE ANY SUBSTANTIVE DATA TO
10 SUPPORT THIS BECAUSE THERE ARE MANY CLAIMS ABOUT
11 OTHER THERAPIES, INCLUDING STEM CELLS?

12 CHAIRMAN KLEIN: COULD WE HAVE FOR JUST A
13 MOMENT, COULD THE STAFF TALK ABOUT THE *NEW ENGLAND*
14 *JOURNAL OF MEDICINE* AND THE VERIFICATION OF THAT IN
15 OTHER COUNTRIES?

16 DR. YAFFE: THERE WAS A RECENT PUBLICATION
17 IN *NEW ENGLAND JOURNAL OF MEDICINE* THAT
18 CHARACTERIZED THE TRANSPLANT THAT DR. DENG HAS
19 DESCRIBED WHERE SURVIVING LIMBAL CELLS GENERALLY
20 FROM THE OTHER EYE ARE USED FOR THE TRANSPLANT.
21 AND, IN FACT, THAT STUDY SHOWED THAT -- THAT STUDY
22 SEEMED TO VERIFY -- I'M NOT A MEDICAL DOCTOR -- BUT
23 THAT STUDY VERIFIED THE EFFICACY OF THIS APPROACH
24 BEING DONE IN EUROPE USING ANIMAL FEEDER CELLS, AS
25 MR. SHEEHY HAS MENTIONED.

BARRISTERS' REPORTING SERVICE

1 AND THE OTHER THING THAT STUDY SHOWED IS A
2 FEW PERCENT OF REMAINING LIMBAL CELLS WAS ADEQUATE.
3 THIS IS THE SOURCE OF THE CELLS FOR SPECIFIC AIMS
4 1 -- SPECIFIC AIM 1 AND 2 IN THE APPLICATION.

5 DR. PIZZO: THAT STUDY WAS PUBLISHED, YOU
6 SAID, IN THE *NEW ENGLAND JOURNAL OF MEDICINE*.

7 DR. YAFFE: *NEW ENGLAND JOURNAL OF*
8 *MEDICINE*.

9 DR. PIZZO: DO YOU KNOW WHAT YEAR OR WHEN?

10 CHAIRMAN KLEIN: IT'S ABOUT 60 DAYS AGO, I
11 BELIEVE.

12 DR. YAFFE: IT'S THIS YEAR.

13 MR. SHEEHY: THE PROBLEM IS ANIMAL FEEDER
14 CELLS.

15 CHAIRMAN KLEIN: WE'RE GOING TO GO TO --

16 DR. PIZZO: JEFF IS COMMENTING ON ANIMAL
17 FEEDERS.

18 MR. SHEEHY: I JUST SAID THE PROBLEM IS
19 ANIMAL FEEDER CELLS. THAT'S WHY WE CAN'T DO IT
20 HERE. IT'S NOT BECAUSE IT'S SOME WACKY THING. IT'S
21 PUBLISHED IN THE *NEW ENGLAND JOURNAL*. IT'S BECAUSE
22 THEY DID IT ON THE FEEDER CELLS THE FDA DOESN'T
23 APPROVE OF. SO THEY WANT TO TRY TO USE A DIFFERENT
24 METHOD THAT THE FDA WILL APPROVE OF.

25 CHAIRMAN KLEIN: WE'RE GOING TO GO TO DR.

BARRISTERS' REPORTING SERVICE

1 JEANNIE FONTANA, THEN WE'RE GOING TO GO TO DR.
2 PULIAFITO AND THEN TO DR. OS STEWARD.

3 DR. FONTANA: I HAD A QUESTION, JEFF, THAT
4 MAYBE YOU CAN ENLIGHTEN US ON WHAT WAS HAPPENING IN
5 THE ROOM BECAUSE THIS DATA THAT YOU ARE PRESENTING
6 AND THAT WAS PRESENTED TO US BY THE PI, IT SEEMS TO
7 BE PRETTY CLEAR. AND I'M CURIOUS WHY THE REVIEWERS
8 WERE SO HARSH.

9 MR. SHEEHY: I THINK THAT'S A MORE
10 EXISTENTIAL QUESTION. IT WOULD BE BETTER IF WE JUST
11 FOCUSED ON -- I DON'T KNOW. I CAN'T REMEMBER ALL OF
12 THIS, EVERY DISCUSSION. I JUST THINK, IN GENERAL --
13 IF I HAD TO SAY ANYTHING, IT'S THE HALO EFFECT FROM
14 DEVELOPMENTAL CANDIDATE REVIEWS WHICH WERE SO "UMM."
15 EVERY NOW AND THEN PEOPLE MISS STUFF TOO.

16 CHAIRMAN KLEIN: SO IF WE CAN PROGRESS TO
17 DR. FONTANA, AND WE HAVE SEVERAL SPEAKERS. LET'S
18 MAKE SURE AS WE GO THAT WE CHECK OUR CONFLICTS LIST.

19 THIS INVOLVES UCLA.

20 DR. FONTANA: I CEDE MY QUESTION.

21 CHAIRMAN KLEIN: YOU ASKED YOUR QUESTION.
22 DR. PULIAFITO.

23 DR. PULIAFITO: I'LL CHIME UP AS THE
24 OPHTHALMOLOGIST. STEM CELL THERAPY OF CORNEAL
25 DISEASES IS CONCEPTUALLY A VERY WELL-ESTABLISHED

BARRISTERS' REPORTING SERVICE

1 THING. SO THIS IS NOT SOME OFFBEAT IDEA. AND I
2 THINK THAT THIS PROPOSAL IS MERITORIOUS FROM THAT
3 POINT OF VIEW. SO IT'S NOT LIKE THIS IS INJECTING
4 STEM CELLS IN SOMEBODY'S KNEE IN SOME FOREIGN
5 COUNTRY OR SOMETHING.

6 CHAIRMAN KLEIN: OKAY. AND --

7 DR. PIZZO: I AGREE THAT'S NOT SO
8 MERITORIOUS.

9 DR. STEWARD: I GUESS A QUESTION TO JEFF.
10 I THINK YOU WERE -- I DON'T WANT TO PUT WORDS IN
11 YOUR MOUTH, BUT I THINK YOU WERE MORE OR LESS SAYING
12 THAT AIM 1 WAS STRONG AND IMPORTANT, AIM 2 MAYBE,
13 AIM 3 NOT. I'M ASKING REALLY IF YOU'RE SUGGESTING
14 AN APPROVAL WITH PERHAPS A REDUCTION IN SCOPE AND
15 FUNDS?

16 CHAIRMAN KLEIN: SO COULD WE WAIT TILL WE
17 HAVE A PROPRIETARY REVIEW TO COME BACK IN AND BE
18 ABLE TO SEE AND GET A PROPRIETARY DISCUSSION THAT
19 WILL MAYBE INFORM THAT QUESTION, AND WE CAN SAVE
20 THAT QUESTION? IS THAT POSSIBLE, DR. STEWARD?

21 MR. SHEEHY: TO BE HONEST, JUST TO BE
22 CLEAR, THIS IS A DEVELOPMENTAL CANDIDATE FEASIBILITY
23 ONE. SO IT'S ALREADY GREATLY REDUCED. IF YOU LOOK
24 AT THESE, MOST OF THEM ARE FOUR TO SIX. THE
25 DEVELOPMENTAL FEASIBILITY ONES ARE ONLY ABOUT ONE

BARRISTERS' REPORTING SERVICE

1 AND A HALF MILLION, SO IT'S ALREADY NOT ONE OF THE
2 GIANT GRANTS.

3 DR. PRIETO: I JUST WANTED TO RESPOND TO
4 PHIL'S QUESTION. I THINK IN THE REVIEW WE DON'T
5 HAVE THE BENEFIT OF SOME OF THIS BACK AND FORTH AND
6 HAVING THE PI PRESENT TO ANSWER QUESTIONS ABOUT THE
7 APPLICATION. I THINK THAT ENLIGHTENS OUR
8 DISCUSSION.

9 MR. SHEEHY: SINCE -- CAN I CUT TO THE
10 CHASE, BOB, NOT TO INTERRUPT? BUT WHY COULDN'T I
11 JUST GO AHEAD AND MAKE A MOTION? WE'VE HAD THIS
12 DISCUSSION. I DON'T THINK WE NEED TO TAKE TIME IN
13 CLOSED SESSION. I WOULD LIKE TO MOVE TO MOVE THIS
14 INTO THE FUNDABLE CATEGORY. WE'VE HAD THE
15 OPHTHALMOLOGIST SAY THIS IS MERITORIOUS.

16 CHAIRMAN KLEIN: IF WE CAN DO IT ON AN
17 OVERALL BASIS, JEFF, SO WE ARE SYSTEMATIC IN OUR
18 APPROACH AND CONSISTENT WITH ALL THE APPLICATIONS,
19 I'D APPRECIATE THAT.

20 DR. PIZZO: I AGREE. I DON'T THINK WE
21 SHOULD FOOL WITH THIS.

22 CHAIRMAN KLEIN: DR. LOVE.

23 DR. LOVE: DR. YAFFE HAD A COMMENT.

24 DR. YAFFE: I JUST WANTED TO REPRESENT
25 WHAT THE GRANTS WORKING GROUP DISCUSSED AND POINT

BARRISTERS' REPORTING SERVICE

1 OUT THERE WERE THREE SPECIFIC AIMS. AS JEFF HAS
2 CHARACTERIZED, THE GRANTS WORKING GROUP FELT THE
3 FIRST SPECIFIC AIM HAD QUITE A BIT OF MERIT. THE
4 OTHER TWO SPECIFIC AIMS, THEY FELT, WERE NOT
5 SUPPORTED ADEQUATELY BY PRELIMINARY DATA. THEY
6 INVOLVE VERY BASIC STUDIES WHICH MIGHT NOT BE WITHIN
7 THE SCOPE OF THIS AWARD.

8 SO I THINK THAT THEY'RE -- IN ADDRESSING
9 DR. FONTANA'S INQUIRY, I THINK THAT THEIR JUDGMENT
10 AND WHERE THEY PLACED THIS REFLECTED THOSE CONCERNS
11 ABOUT SPECIFIC AIMS 2 AND 3, WHICH WERE TWO-THIRDS
12 OF THE GRANT.

13 CHAIRMAN KLEIN: DR. YAFFE, FOR A DCF
14 GRANT, WAS IT REQUIRED THAT WE HAVE DATA SUPPORTING
15 THIS, OR WAS THIS A CATEGORY WHERE THE STRENGTH OF
16 THE TEAM, THE STRENGTH OF THE CONCEPT, THE
17 SCIENTIFIC METHODOLOGY WAS SUFFICIENT? IT'S A
18 QUESTION.

19 DR. YAFFE: THEY STILL NEEDED ADEQUATE AND
20 APPROPRIATE PRELIMINARY DATA TO SUPPORT THE SPECIFIC
21 AIMS.

22 DR. TROUNSON: IT DID REQUIRE
23 TRANSDIFFERENTIATION FROM SKIN CELLS TO CORNEAL
24 EPITHELIAL CELLS. I SUGGEST THAT'S A PRETTY BIG
25 STEP.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN KLEIN: AND THAT'S AIM 3; IS THAT
2 RIGHT, DR. TROUNSON?

3 DR. TROUNSON: YEAH.

4 MR. SHEEHY: I JUST WOULD CAUTION US NOT
5 TO BE CAUGHT UP IN THE TYRANNY OF THE RFA AND ASK IS
6 THIS INTERESTING SCIENCE THAT'S WORTH DOING.
7 FRANKLY, I THINK A LOT OF THE DCF'S HAD SOME VERY
8 OUTSTANDING BASIC SCIENCE IN THEM, AND I THINK THAT
9 THAT PENALIZED THEM. AND PEOPLE HEARD QUESTIONS
10 THAT THEY WANTED TO HEAR ANSWERS TO. WE'VE GOT A
11 GRANT THAT'S GOT ONE GOOD AIM THAT WE SHOULD DO
12 BECAUSE THEY'LL BE IN THE CLINIC POTENTIALLY WITHIN
13 A VERY SHORT TIME, WHICH IS ACTUALLY THE GOAL OF
14 THIS INSTITUTE. AND IF THEY WANT TRY TO DO SOME
15 OTHER COOL STUFF WHILE THEY'RE AT IT AND THEY DON'T
16 SUCCEED, WE FUND BASIC SCIENCE ALL THE TIME THAT
17 DOESN'T SUCCEED. I THINK THEY'LL ANSWER SOME
18 QUESTIONS THAT WE NEED TO HAVE ANSWERED.

19 I WOULDN'T GET CAUGHT UP SAYING, WELL, IT
20 DOESN'T QUITE FIT THIS RFA. THEY HAVE ONE AIM THAT
21 CLEARLY FITS THE RFA. I WOULDN'T WHACK THE OTHER
22 TWO AIMS BECAUSE THEY DON'T HAVE PRELIMINARY DATA.
23 THEY SEEM A PRETTY GOOD TEAM TO ME.

24 CHAIRMAN KLEIN: I'M GOING TO -- WE'RE
25 GOING TO GO INTO EXECUTIVE SESSION VERY QUICKLY.

BARRISTERS' REPORTING SERVICE

1 WANT TO COVER 1785. THERE'S A PUBLIC PRESENTATION.

2 DR. HAVTON: MY NAME IS LEIF HAVTON. I'M
3 A NEUROLOGIST AND NEUROSCIENTIST. I'M HERE TO
4 REPRESENT THE EXTRAORDINARY PETITION FOR 1785. THE
5 PROPOSAL IS "REPAIR OF CONUS MEDULLARIS/CAUDA EQUINA
6 INJURY USING HUMAN ES CELL-DERIVED MOTOR NEURONS."
7 THIS IS A PROPOSAL SUBMITTED THROUGH UCLA. THIS IS
8 A PROPOSAL THAT I'M THE PI FOR, AND I HAVE TWO
9 CO-INVESTIGATORS, DR. KORNBLUM AND DR. NOVITCH, BOTH
10 AT UCLA.

11 FIRST, I WOULD LIKE TO THANK THE MEMBERS
12 OF THE ICOC FOR THIS OPPORTUNITY TO SUBMIT AND
13 PRESENT THIS PETITION. WE VERY MUCH APPRECIATE THIS
14 OPPORTUNITY. I ALSO WISH TO THANK THE REVIEWERS FOR
15 THE FEEDBACK AND HELPFUL COMMENTS.

16 OUR PROPOSAL AIMS AT RESTORING BLADDER
17 FUNCTION AFTER A FORMAL SPINAL CORD INJURY THAT
18 AFFECTS THE MOST CAUDAL PORTION, THE SACRAL PORTION
19 OF THE SPINAL CORD. IT'S ABOUT 20 PERCENT OF ALL
20 SPINAL CORD INJURIES. WE TARGET RECOVERY OF THE
21 BLADDER FUNCTION THERE USING A STEM CELL THERAPY.

22 THE REVIEWERS WERE SUPPORTIVE ALTHOUGH
23 THEY DID HAVE SOME CONCERNS, WHICH I WILL ADDRESS
24 NEXT.

25 FIRST, ONE OF THE CONCERNS PRESENTED BY

BARRISTERS' REPORTING SERVICE

1 THE REVIEWERS WAS THAT OUR PRELIMINARY DATA
2 SUGGESTED THAT OUR APPROACH WAS A NEUROPROTECTIVE
3 APPROACH. AND I THINK THAT THIS IS A
4 MISUNDERSTANDING OF OUR PROPOSAL. OUR PRELIMINARY
5 DATA THAT WE HAVE OBTAINED TO DATE AND THE PROPOSAL
6 SHOW THAT OUR PROPOSAL IS A CELL REPLACEMENT THERAPY
7 AND NOT A NEUROPROTECTIVE APPROACH. HOWEVER, WE
8 HAVE PROVIDED DATA ON A NEUROPROTECTIVE EFFECT
9 PROVIDED BY THE NERVE ROOT REPLANTATION THAT IS PART
10 OF THE COMBINATORIAL STRATEGY HERE. THIS UNEXPECTED
11 NEUROPROTECTIVE EFFECT PROVIDED BY THE NERVE ROOTS
12 IS THAT THEY INCREASE THE SURVIVAL OF THE
13 TRANSPLANTED CELLS. WE DON'T SEE THIS AS A PROBLEM.
14 WE SEE THIS AS A FEATURE OF OUR DESIGN AND OUR
15 MODEL.

16 A SECOND CONCERN BY THE REVIEWERS WAS THAT
17 THEY HAD SOME CONCERNS ABOUT OUR ABILITY TO PRODUCE
18 THE THERAPEUTIC CELL POPULATIONS, INCLUDING
19 AUTONOMIC NEURONS, AND ALSO SOME CONCERNS ABOUT THE
20 PURITY OF MOTOR NEURONS. AND WE RESPECTFULLY
21 DISAGREE WITH THIS ASSESSMENT. OUR TEAM IS VERY
22 EXPERIENCED IN THE PRODUCTION OF MOTOR NEURONS. WE
23 HAVE PUBLISHED ON OUR ABILITY TO DERIVE MOTOR
24 NEURONS FROM HUMAN ES CELLS AND TO SORT CELLS
25 EXPRESSING HB9, WHICH IS A MOTOR NEURON MARKER,

BARRISTERS' REPORTING SERVICE

1 USING CURRENT METHODS WHICH ARE ALL AVAILABLE TO US
2 AND PART OF WHAT WE DO. WE CAN EASILY SCALE UP OUR
3 PROTOCOLS TO PRODUCE THE NEEDED NUMBERS OF CELLS FOR
4 OUR PROPOSED TRANSPLANTATION EXPERIMENTS.

5 WITH REGARDS TO PURITY, WE EXPECT THAT THE
6 SORTED HB9 CELLS WILL INCLUDE SUBTYPES OF NEURONS,
7 INCLUDING AUTONOMIC NEURONS AND MOTOR NEURONS.
8 AGAIN, THIS IS A FEATURE, NOT A PROBLEM. WHEN
9 RESTORING BLADDER FUNCTION, YOU NEED BOTH AUTONOMIC
10 NEURONS AND MOTOR NEURONS. AND HB9 AS A
11 TRANSCRIPTION FACTOR IS A COMMON DENOMINATOR THAT WE
12 FEEL LUCKY TO HAVE AS A MARKER TO SORT CELLS TO
13 COVER BOTH CELL TARGETS IN ONE EXPERIMENT.

14 A THIRD COMMENT FROM THE REVIEWERS WAS
15 THAT THEY WERE NOT CONVINCED THAT WE WILL BE ABLE TO
16 ACHIEVE ANATOMICALLY ACCURATE AND TOPOGRAPHICALLY
17 PRECISE RE-ENERVATION OF OUR TARGETS USING THE
18 TRANSPLANTED CELLS. I THINK HERE THAT OUR MODEL OF
19 RESTORING BLADDER FUNCTION WORKS TO OUR ADVANTAGE IN
20 THAT THE TARGET IS A PROXIMAL TARGET. IT'S VERY
21 CLOSE TO THE SPINAL CORD AND THE INJECTION SITES.
22 IT'S NOT A DISTAL TARGET SUCH AS GETTING, FOR
23 INSTANCE, HAND FUNCTION BACK.

24 ALSO WITH BLADDER FUNCTION, IT'S A
25 YES-OR-NO OR AN ON-AND-OFF TYPE OF RESPONSE. EITHER

BARRISTERS' REPORTING SERVICE

1 THE SUBJECT IS VOIDING OR NOT. AND WITH THAT LEVEL
2 OF ACTIVATION PATTERN, WE FEEL THAT WE HAVE A BETTER
3 SUCCESS THAN IF WE WERE TARGETING A VERY FINE MOTOR
4 SKILL SUCH AS HAND FUNCTION. SO I THINK, AGAIN,
5 HERE THAT THE FEATURE IS IN THE MODEL AND OUR
6 DISEASE TARGET HERE, AND THAT WE DO NOT THINK THAT
7 THE DEGREE OF ANATOMICAL PRECISION WILL BE AS HIGH
8 HERE COMPARED TO OTHER NEUROLOGICAL CONDITIONS.

9 THE FOURTH CONCERN AND FINAL CONCERN I
10 WOULD LIKE TO ADDRESS HERE IS THE CONCERNS REVIEWERS
11 HAD WITH REGARDS TO OUR TEAM AND WHETHER WE HAD
12 ADEQUATE EXPERIENCE TO PERFORM A CELL THERAPY FOR
13 THE DAMAGED SPINAL CORD. HERE WE, AGAIN,
14 RESPECTFULLY DISAGREE WITH THE ASSESSMENT. WE HAVE
15 DEMONSTRATED IN OUR PRELIMINARY STUDIES THAT WE ARE
16 ABLE TO PREPARE AND TRANSPLANT STEM CELLS FROM BOTH
17 HUMAN AND RODENT SOURCES. WE CAN IDENTIFY THEM. WE
18 CAN PERFORM A VARIETY OF FUNCTIONAL TESTS TO ASSESS
19 SUCCESS OF EXPERIMENTS.

20 MY OWN LAB HAS EXTENSIVE EXPERIENCE WITH
21 TRANSLATIONAL STUDIES AFTER SPINAL CORD INJURY.
22 IT'S WHAT WE DO. IT'S OUR MAIN FOCUS.

23 PROFESSOR KORNBLUM HAS PUBLISHED
24 EXPERIENCE IN NEURAL STEM CELL GRAFTING OF THE BRAIN
25 AND IDENTIFYING AND CHARACTERIZING SPINAL CORD STEM

BARRISTERS' REPORTING SERVICE

1 CELLS. DR. NOVITCH IS A RECOGNIZED AUTHORITY ON
2 SPINAL CORD AND MOTOR NEURON DEVELOPMENT. SO WE
3 BELIEVE THAT WE ARE VERY WELL SUITED FOR THE
4 PROPOSED STUDIES. IN FACT, WE BELIEVE THAT WE ARE
5 UNIQUELY POISED TO SUCCEED IN THE PROPOSED
6 EXPERIMENTS. AND WE ALSO BELIEVE THAT THESE TYPES
7 OF EXPERIMENTS ARE VERY MUCH NEEDED.

8 STUDIES ON THIS FORM OF SPINAL CORD INJURY
9 AND RECOVERY OF BLADDER FUNCTION IS CLEARLY AN
10 UNDERSTUDIED AREA IN MEDICAL SCIENCE AND
11 TRANSLATIONAL STUDIES. THANK YOU VERY MUCH.

12 CHAIRMAN KLEIN: THANK YOU. ANY OTHER
13 QUESTIONS?

14 DR. STEWARD: I'M IN CONFLICT, RIGHT,
15 JAMES.

16 MR. HARRISON: YOU ARE.

17 DR. STEWARD: I JUST WANTED TO MAKE THAT
18 CLEAR.

19 CHAIRMAN KLEIN: SO YOU CANNOT SPEAK. ANY
20 OTHER COMMENTS FROM ANYONE? THANK YOU VERY MUCH.
21 APPRECIATE YOUR PRESENTATION. QUESTION, DR.
22 FONTANA.

23 DR. FONTANA: THIS HAS NOT BEEN DONE IN
24 RATS?

25 DR. HAVTON: NO. THESE ARE NEW AND NOVEL

BARRISTERS' REPORTING SERVICE

1 EXPERIMENTS.

2 DR. FONTANA: I'M KIND OF CURIOUS WHY IT
3 HASN'T, JUST BACKGROUND INFORMATION WHY IT HASN'T.
4 WE'VE SEEN SO MANY WONDERFUL VIDEOS OF SPINAL CORD
5 INJURIES IN RATS AND THEM HAVING POSITIVE EFFECTS
6 WITH STEM CELLS.

7 DR. HAVTON: LET ME CLARIFY THAT THIS
8 PARTICULAR FORM OF SPINAL CORD INJURY IS DIFFERENT
9 FROM WHAT I BELIEVE ARE THE TYPES OF SPINAL CORD
10 INJURY THAT YOU ARE REFERRING TO. THE SPINAL CORD
11 INJURY MODELS THAT HAVE BEEN PUBLISHED AND PRESENTED
12 IS MORE OF A DISCONNECTION BETWEEN THE UPPER AND THE
13 LOWER PART OF THE SPINAL CORD. IN MEDICINE WE REFER
14 TO THOSE INJURIES AS AN UP THE MOTOR NEURON INJURY.
15 WE ARE STUDYING A LOWER MOTOR NEURON INJURY WHICH IS
16 THE DAMAGE AND INJURY TO THE CELLS THAT GO FROM THE
17 SPINAL CORD TO THE PERIPHERAL TARGET, LIKE MOTOR
18 NEURONS.

19 THIS TYPE OF INJURY IS VERY WELL KNOWN
20 CLINICALLY TO CREATE DIFFERENT TYPES OF CLINICAL
21 PRESENTATION. AND ALSO IT HAS A UNIQUE NEED FOR A
22 DIFFERENT STRATEGY FOR REPAIR. AND THIS IS IN MANY
23 WAYS THE FORGOTTEN PART OF SPINAL CORD INJURY WHERE
24 THERE HISTORICALLY HAVE BEEN VERY, VERY FEW STUDIES
25 ADDRESSING THE NEEDS OF THIS PATIENT POPULATION.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN KLEIN: OKAY. THANK YOU VERY
2 MUCH. I'D LIKE TO CALL OUR REMAINING PUBLIC
3 COMMENT. I BELIEVE IT'S ON 1841; IS THAT CORRECT?
4 WE HAVE A COMMENT FROM DR. CHARLES SAVINE, AND THANK
5 YOU FOR COMING FROM LONDON FOR YOUR COMMENT.

6 MR. SAVINE: THANK YOU. THANK YOU VERY
7 MUCH, MR. CHAIRMAN, LADIES AND GENTLEMEN OF THE
8 BOARD. THANK YOU SO MUCH FOR ALLOWING ME THE HONOR
9 AND THE OPPORTUNITY TO SPEAK TO YOU. I KNOW YOU'RE
10 EXTREMELY BUSY HERE TODAY, SO I WILL BE VERY BRIEF.

11 MY NAME IS CHARLES SAVINE. I'VE SPENT
12 MORE HALF MY LIFE WORKING AS A JOURNALIST FOR NBC
13 NEWS. SO I SPEAK TO YOU NOT AS A RESEARCHER OR
14 SCIENTIST, BUT AS A FAMILY MEMBER BECAUSE MY FATHER
15 DIED FROM HUNTINGTON'S DISEASE, MY BROTHER IS NOW
16 VERY SICK WITH THE DISEASE, AND I HAVE BEEN TESTED
17 POSITIVE FOR THE MUTATION FOR THE DISEASE.

18 I'M NOT HERE TO TELL YOU ABOUT THE CRUELTY
19 OF THE DISEASE BECAUSE OF ITS SYMPTOMS AND ITS
20 COLLATERAL DAMAGE AND ITS HEREDITARY NATURE. I
21 WOULD, HOWEVER, LIKE TO TELL YOU ABOUT THE
22 SIGNIFICANCE, I BELIEVE, FOR THE SUPPORT FOR
23 PROFESSOR THOMSON'S WORK AT IRVINE FROM THE HD
24 COMMUNITY, NOT JUST IN CALIFORNIA AND IN THE UNITED
25 STATES, BUT AROUND THE WORLD AND HOW THIS COULD

BARRISTERS' REPORTING SERVICE

1 POTENTIALLY HAVE SIGNIFICANCE BEYOND THE
2 HUNTINGTON'S DISEASE COMMUNITY.

3 I'M ONE HUNDREDS OF PEOPLE AROUND THE
4 WORLD WHO ARE IN THE PRESYMPTOMATIC OR THE EARLY
5 STAGES OF THE DISEASE WHO ARE PART OF AN ONGOING
6 LONGITUDINAL STUDY COHORT ALREADY ESTABLISHING
7 EXTENSIVE AND RELIABLE BIOMARKERS FOR ALL STAGES OF
8 HUNTINGTON'S DISEASE, INCLUDING THOSE THAT WOULD
9 HAVE BEEN UNTIL NOW, TILL RECENTLY, DESCRIBED AS
10 PRESYMPTOMATIC. BECAUSE OF THE UNIQUE NATURE, THE
11 UNIQUE IDENTIFIABLE NATURE OF HUNTINGTON'S DISEASE,
12 WE PROVIDE A PERFECT RESEARCH GROUP THAT CAN SERVE
13 TO ENABLE PROFESSOR THOMSON'S GROUNDBREAKING WORK TO
14 HAVE ACCESS TO CLINICAL TRIALS AS WELL AS ALL FORMS
15 OF CELL SAMPLES.

16 AND THAT'S BECAUSE OVER TWO DECADES
17 PROFESSOR THOMSON HAS DEVELOPED A RELATIONSHIP OF
18 TRUST WITH THE HUNTINGTON'S COMMUNITY AROUND THE
19 WORLD WHICH GIVES UNBOUNDED SUPPORT. AND THE
20 COMBINATION, I BELIEVE, OF THAT UNIQUE COLLABORATION
21 OF THE TRACTABLE NATURE OF THE DISEASE GIVES US AS A
22 TEAM A REAL OPPORTUNITY TO BENEFIT RESEARCH INTO ALL
23 NEUROLOGICAL AND GENETIC DISEASES BY GIVING THE
24 OPPORTUNITY FOR FUTURE RESEARCH TO BE APPLIED TO
25 PRESYMPTOMATIC SUBJECTS.

BARRISTERS' REPORTING SERVICE

1 NOW, THOSE OF US WHO ARE IN THOSE RESEARCH
2 GROUPS IN THE UK WHERE I AM AND ELSEWHERE IN EUROPE
3 AND IN CANADA UNDERSTAND, WE UNDERSTAND, THAT OUR
4 PARTICIPATION IN THIS RESEARCH IS NOT LIKELY TO BE
5 OF DIRECT BENEFIT TO US, BUT WE ARE HONORED TO BE A
6 PART OF A NOBLE CAUSE, WHICH IS TO GIVE HOPE TO THE
7 HIDDEN OR THE GROWING COMMUNITY OF THOSE SUFFERING
8 DEMENTIA AND THE EVEN GREATER NUMBER WHO CARE FOR
9 THEM. AND WE TRUST PROFESSOR THOMSON. AND ON
10 BEHALF OF THAT COMMUNITY AND THE NEXT GENERATION, I
11 WOULD LIKE TO URGE YOU TO SUPPORT HER TOO.

12 VERY BRIEFLY, I MENTIONED THE CRUELTY OF
13 THIS DISEASE, AND I JUST WANTED TO ASK FRANCES
14 SOLDANA TO GIVE YOU A LITTLE BIT MORE OF AN IDEA OF
15 THE CRUELTY INVOLVED IN THIS DISEASE.

16 CHAIRMAN KLEIN: SINCE SHE IS SUCH AN
17 ELOQUENT SPOKESPERSON, SHE'S SPOKEN WITH US BEFORE,
18 SO IF YOU WILL TRY IN THREE MINUTES TO CAPTURE THAT
19 SENTIMENT.

20 MS. SOLDANA: I THINK I CAN DO IN ONE
21 MINUTE. YOU ALL MET MY DAUGHTER MARGIE HAYES THREE
22 YEARS AGO, AND SHE WAS ABLE TO COME HERE AND SPEAK
23 TO YOU. SHE'S NOW FACING THE END STAGES OF
24 HUNTINGTON'S DISEASE. AND I LOST MY YOUNGEST
25 DAUGHTER ELEVEN MONTHS AGO, AND MY SON IS NOW FACING

BARRISTERS' REPORTING SERVICE

1 END OF LIFE. SO THROUGH YOUR GENEROUS SUPPORT, I
2 THINK WE'LL HAVE A CURE FOR THE NEXT GENERATION.
3 THAT'S MY HOPE BECAUSE MY TWO GRANDCHILDREN ARE NOW
4 AT RISK. AND WHERE THEY ARE JUST BEAUTIFUL AND
5 HEALTHY AS MY CHILDREN ONCE WERE, THEY COULD BE THE
6 NEXT GENERATION THAT IS SUFFERING, THAT WILL SUFFER
7 THE WAY MY CHILDREN HAVE SUFFERED. ALSO THE KRAWL'S
8 LOST THEIR DAUGHTER 12 MONTHS AGO.

9 SO I JUST WANT TO THANK YOU, JUST MAKE YOU
10 AWARE THAT WE REALLY ARE IN A RACE AGAINST TIME, AND
11 THAT THE RESEARCHERS ARE SO CLOSE, THEY REALLY NEED
12 ALL OUR SUPPORT, BOTH OUR FAMILIES, RESEARCHERS,
13 PHILANTHROPISTS, CIRM, JUST EVERYBODY. I JUST WANT
14 TO THANK YOU FOR GIVING ME THIS TIME TO GIVE YOU AN
15 UPDATE ON HUNTINGTON'S DISEASE. THANK YOU.

16 CHAIRMAN KLEIN: THANK YOU VERY MUCH. AND
17 THANK YOU, CHARLES, FOR COMING FROM LONDON. IT'S
18 TREMENDOUS THAT ACROSS THE GLOBE THERE'S
19 PARTICIPATION OF PATIENTS IN THESE GROUPS BECAUSE IT
20 WILL GIVE SOME PRECLINICAL HISTORY THAT, AS WE HEARD
21 EARLIER THIS MORNING WITH DEVIC'S DISEASE, COULD
22 HELP ACCELERATE TRIALS BY HAVING LONG-TERM
23 BENCHMARKS FOR THE CONDITION OF THE PATIENTS. SO
24 THANK YOU VERY MUCH FOR BEING HERE. THIS IS PART OF
25 THE RECOMMENDED APPLICATIONS COMING FROM THE PEER

BARRISTERS' REPORTING SERVICE

1 REVIEW GROUP.

2 MS. SAMUELSON: THANK YOU VERY MUCH.

3 MR. SHEEHY: CAN I JUST SAY SOMETHING,
4 ESPECIALLY THIS COUPLE WHO LOST THEIR DAUGHTER AND
5 JUDY ROBERSON. WHEN I'M SITTING THERE AND WE'RE
6 LOOKING AT THESE GRANTS AND WE GET ONE THAT COMES IN
7 THAT'S GOOD LIKE THIS, I SEE YOUR FACES. I SEE THE
8 FACES. IT MEANS A LOT FOR YOU TO COME HERE. I
9 NEVER FORGET THAT. I'M SO HAPPY THAT WE HAVE
10 SOMETHING FOR YOU THIS TIME THAT THE SCIENTISTS FEEL
11 ENTHUSIASTIC ABOUT, THAT WE'RE GOING TO BE ABLE TO
12 DO SOMETHING. SO THANK YOU.

13 CHAIRMAN KLEIN: AND THE LAST ITEM, JUST
14 BEFORE WE GO INTO EXECUTIVE SESSION, I'M GOING TO
15 CALL ON JAMES HARRISON TO RECITE THE STATUTORY
16 BASIS. CAN WE HAVE THREE OR FOUR MINUTES FROM STAFF
17 ON THE BASIC -- ON 1778. REALIZE THAT THIS IS ONE
18 WHERE IT WAS THOUGHT THAT THEY DID NOT HAVE ADEQUATE
19 DATA AND ADEQUATE DEFINITION OF A TARGET TO QUALIFY
20 FOR THE DC CATEGORY. BASIC QUESTION IS THE QUALITY
21 OF THE TEAM, THE QUALITY OF THE SCIENTIFIC THEORY.
22 AND I'M ASKING FOR THIS PRESENTATION IN THE CONTEXT
23 OF WHETHER THERE'S VERY GOOD SCIENCE HERE THAT COULD
24 BE THE BASIS OF A DCF APPLICATION, NOT A DC
25 APPLICATION. THIS IS A QUESTION.

BARRISTERS' REPORTING SERVICE

1 DR. SAMBRANO: SO THIS PARTICULAR
2 APPLICATION IS ONE THAT I THINK CERTAINLY REVIEWERS
3 RECOGNIZED THAT THE PI AND THE TEAM INVOLVED ARE
4 EMINENT SCIENTISTS WORKING IN THE FIELD OF NEURAL
5 BIOLOGY.

6 NOW, THE MAJOR CONCERN WAS THAT THIS
7 APPLICATION CAME IN LARGELY AS A BASIC BIOLOGY STUDY
8 AND ONE THAT IS VERY BROAD AND COMPREHENSIVE. IT
9 INCLUDES NINE SPECIFIC AIMS. AND THEY ACTUALLY
10 THOUGHT THAT ANY ONE OF THOSE AIMS ALONE COULD BE
11 THE SUBJECT OF A GRANT PROPOSAL. SO THEY THOUGHT IT
12 WAS VERY VAST.

13 AND ONE OF THE THINGS THAT THEY DID
14 CONSIDER DURING THE PROGRAMMATIC REVIEW WAS THE
15 POSSIBILITY THAT THIS MIGHT POTENTIALLY BE A
16 FEASIBILITY AWARD. HOWEVER, IN THE DISCUSSION THEY
17 REALLY COULDN'T IDENTIFY AMONG THOSE AIMS SOMETHING
18 THAT WAS SUBSTANTIVE ENOUGH THAT WOULD ACTUALLY
19 ADDRESS THE OBJECTIVES OF THE RFA.

20 SO THEY TOOK A VOTE AND FELT THAT EVEN AS
21 A DCF AWARD, THAT THIS WAS NOT AMENABLE UNDER THIS
22 RFA. THEY, AGAIN, DID PRAISE THE PI AS A STRONG
23 INVESTIGATOR, BUT FELT THAT THIS REASON ALONE WAS
24 NOT SUFFICIENT REALLY TO MOVE A PROJECT THAT THEY
25 THOUGHT WAS POORLY RESPONSIVE FORWARD UNDER THIS

BARRISTERS' REPORTING SERVICE

1 RFA.

2 CHAIRMAN KLEIN: THANK YOU VERY MUCH.

3 WITH THAT, I'D LIKE TO --

4 MR. SHESTACK: CAN I JUST ASK A QUESTION
5 OF THE SCIENTIFIC STAFF ON ONE THING IN PARTICULAR,
6 WHICH WAS GRANT 1830, WHICH IS IMMUNE-MATCHED NEURAL
7 STEM CELL TRANSPLANTATION FOR PEDIATRIC
8 NEUROGENERATIVE DISORDERS LIKE LYSOSOMAL STORAGE
9 DISORDERS IN PARTICULAR.

10 I HAD NOTICED THAT THE PRELIMINARY SCORES
11 HAD BEEN SOMETHING LIKE 68 OR -- NOT SOMETHING
12 LIKE -- 68. AND THEN THEY WERE BROUGHT DOWN TO 60.
13 AND I WONDERED WHY THAT WAS. AND IF THAT WAS
14 POSSIBLE BECAUSE REALLY THIS GRANT MIGHT BE BETTER
15 AS A DCF, NOT A DC, AND WHETHER WE CAN MAKE THAT
16 RECOMMENDATION AT THIS POINT BECAUSE IT SEEMS LIKE
17 AN AREA THAT HAS POTENTIAL THAT CIRM HAS NOT REALLY
18 DONE MUCH IN YET.

19 DR. OLSON: SO WHAT I'D LIKE TO DO IS
20 MAYBE JUST GIVE THE BOARD AND MEMBERS OF THE PUBLIC
21 AND STAFF AN IDEA OF WHAT THIS AWARD WAS ABOUT TO
22 USE AS A BASIS FOR FURTHER DISCUSSION. JUST A
23 SECOND. WHAT I'D LIKE TO DO IS GIVE THE BOARD AND
24 THE MEMBERS OF THE PUBLIC AND STAFF A LITTLE BIT OF
25 AN IDEA OF WHAT THIS AWARD IS ABOUT. IT WAS A

BARRISTERS' REPORTING SERVICE

1 DEVELOPMENT CANDIDATE APPLICATION, AS MR. SHESTACK
2 HAS NOTED. AND IT FOCUSED ON THE USE OF A COMBINED
3 HEMATOPOETIC STEM CELL TRANSPLANTATION IN
4 CONJUNCTION WITH A NEURAL STEM CELL TRANSPLANTATION
5 DERIVED FROM IPS CELLS FROM THE SAME DONOR.

6 SO THE IDEA HERE IS THAT THIS IS A
7 LYSOSOMAL STORAGE DISEASE THAT HAS BOTH CNS
8 MANIFESTATIONS AND PERIPHERAL MANIFESTATIONS, AND
9 THAT IT HAS BEEN SHOWN IN SOME INSTANCES THAT
10 HEMATOPOETIC STEM CELL TRANSPLANTATION CAN HELP WITH
11 THE PERIPHERAL MANIFESTATIONS. BUT THE ISSUE IS
12 THAT DOESN'T DEAL WITH THE CNS MANIFESTATIONS AND
13 THE NEURAL DEGENERATION THAT COMES FROM THIS. EVEN
14 IN SPITE OF THE PERIPHERAL TREATMENT, YOU DON'T DEAL
15 WITH THE CNS.

16 BUT WHEN YOU'RE TALKING ABOUT A DUAL
17 TRANSPLANTATION, THE OTHER THING THAT THIS APPLICANT
18 HOPED TO ADDRESS WAS THE NOTION OF NOT ONLY WILL WE
19 TREAT THE PERIPHERAL, BUT ALSO THE CNS, BUT THEN
20 YOU'RE DEALING WITH THE NOTION OF IMMUNE MATCHING.
21 I THINK WE'VE ALL HEARD IN CERTAIN CANCERS THAT IF
22 YOU CAN SET UP A MICROCHIMERIC SITUATION IN YOUR
23 HEMATOPOETIC SYSTEM, THAT THEN ALLOWS OR THE
24 HYPOTHESIS -- ACTUALLY IT'S BEEN SHOWN TO WORK IN
25 SOME CASES, MOST RECENTLY WITH SOLID ORGAN

BARRISTERS' REPORTING SERVICE

1 TRANSPLANT, LUNG, HEART TRANSPLANTS AND SUCH, THAT
2 YOU CAN, IF YOU SET UP A MICROCHIMERISM SYSTEM
3 THROUGH HEMATOPOETIC STEM CELL TRANSPLANT, YOU CAN
4 GET ACCEPTANCE OF A GRAFT FROM THE SAME DONOR.

5 SO THAT'S THE HYPOTHESIS THAT THEY'RE
6 PROPOSING TO TEST. AND BASICALLY THE QUESTIONS -- I
7 DID SAY THIS WAS A DEVELOPMENT CANDIDATE AWARD. AND
8 WHAT THE APPLICANT PROPOSES TO DO IS, FIRST, USE --
9 FIRST, THEY WILL DERIVE IMMUNE-MATCHED NSC'S FROM
10 THE SAME SOURCE AS THE HSC AND TEST THE HEMATOPOETIC
11 BY THEMSELVES, THEY WILL TEST THE NSC BY THEMSELVES,
12 AND THEY WILL TEST THE TWO TOGETHER IN AN ANIMAL
13 MODEL OF THE DISEASE. SO THAT'S WHAT THEY'RE TRYING
14 TO DO.

15 SO I CAN GO THROUGH THE STRENGTHS AND THE
16 WEAKNESSES OF IT, BUT IT'S VERY MUCH SORT OF A
17 COMBINATION STRATEGY. AND TO TELL THE TRUTH, IT
18 ALMOST IS -- I THINK THAT WAS ONE OF THE REVIEWERS'
19 BIGGEST OBJECTIONS IS THAT THEY'RE LOOKING AT PROOF
20 OF PRECLINICAL CONCEPT, AND THEY WERE NOT DEALING
21 WITH ANY OF THE OTHER ISSUES THAT WOULD BE
22 ASSOCIATED WITH A DEVELOPMENT CANDIDATE. THE
23 REVIEWERS NOTED THAT THEY ARE NOT KEY EXPERIMENTS
24 ADDRESSING CRITICAL ISSUES, SUCH AS APPROPRIATE
25 DOSE, PRELIMINARY TUMOROGENICITY, IMMUNE RESPONSE TO

BARRISTERS' REPORTING SERVICE

1 THE SECRETED ENZYME, PERSISTENCE, LOCATION, AND
2 FUNCTION OF THE NSC'S. NONE OF THOSE WAS ADDRESSED.

3 THEY WERE CONCERNED THAT THEY WERE ONLY
4 TALKING ABOUT A SINGLE DOSE, PARTICULARLY WHEN YOU
5 ARE TALKING ABOUT NSC'S DERIVED FROM A PLURIPOTENT
6 CELL SOURCE, YOU NEED SOME DOSE RANGE TO GIVE YOU A
7 RANGE FOR TUMOROGENICITY. SO YOUR POINT IS WELL
8 TAKEN IN THE SENSE THAT IT REALLY WAS THE KINDS OF
9 QUESTIONS THEY WERE ASKING WAS MORE SUITABLE FOR A
10 DCF BECAUSE THEY WERE REALLY FOCUSED SOLELY ON
11 PRECLINICAL PROOF OF PRINCIPLE AS OPPOSED TO THE
12 OTHER.

13 NOW, WHETHER IT WOULD BE POSSIBLE TO
14 ADDRESS THAT AWARD IN THAT WAY I BELIEVE IS HARD TO
15 SAY. BECAUSE ESSENTIALLY THE EXPERIMENTS OUTLINED
16 WERE ALL PRECLINICAL PROOF OF PRINCIPLE EXPERIMENTS.

17 CHAIRMAN KLEIN: OKAY. THANK YOU.

18 DR. TROUNSON: JUST ONE ADDITIONAL THING,
19 I THINK, JONATHAN. ONE OF THE BIG BARRIERS IS WE
20 CAN'T GET BLOOD CELLS TO GO TO HEMATOPOETIC STEM
21 CELLS. WE CAN'T GET THE EMBRYONIC OR THE
22 PLURIPOTENTIAL STEM CELLS TO GO TO THE PROPER BONE
23 MARROW HEMATOPOETIC STEM CELLS YET. SO THAT'S STILL
24 A BIG BARRIER, AND IT'S REALLY ONE WHICH WE'RE
25 EXPECTING TO GET BROKEN AT SOME STAGE, BUT IT HASN'T

BARRISTERS' REPORTING SERVICE

1 YET. WE DIDN'T REALLY SEE THIS PROJECT WAS GOING TO
2 BREAK THAT BARRIER, WHICH IS REALLY WHAT'S REQUIRED
3 IF YOU HAVE A DUAL SET OF CELLS.

4 MR. ROTH: SO THANK YOU, EVERYBODY, FOR
5 YOUR WORK ON ALL OF THESE GRANTS AND THE INPUT
6 YOU'VE GIVEN US. I MUST SAY I FIND MYSELF HERE
7 THINKING ABOUT HOW HARD THIS IS. WE HAVE AN OUTSIDE
8 REVIEW, WHICH WE'VE GOT IN FRONT OF US THAT WAS
9 SCORED, WE HAVE A PROGRAMMATIC REVIEW. AND THANK
10 YOU VERY MUCH FOR THE SUMMARY. THAT REALLY HELPS TO
11 SORT OF GO THROUGH TO SEE WHICH GRANTS YOU LOOKED
12 AT. I THINK THAT WRITTEN SUMMARY IS GREAT. THEN WE
13 HAVE THE EXTRAORDINARY PETITIONS. AND WE HAD A
14 NUMBER OF THEM THIS TIME, ALL OF WHICH APPEAR TO
15 HAVE COME IN LATE; SO, THEREFORE, THERE WAS NO
16 RESPONSE TO. AND THEN TODAY WE HAVE INPUT FROM THE
17 STAFF ON GRANTS, WE HAVE INPUT FROM THE CO-CHAIRS OF
18 THE GRANTS WORKING GROUP, WHICH OBVIOUSLY IS DATED
19 BECAUSE THINGS HAVE CHANGED. AND JEFF FOUND HIMSELF
20 A COUPLE OF TIMES JUST STRUGGLING TO RECALL WHY
21 THINGS ARE THERE. AND THEN, IN ADDITION, WE HAVE
22 AUDIENCE PRESENTATIONS TODAY.

23 SO IT'S A VERY COMPLICATED WAY FOR ME TO
24 TRY TO FIGURE OUT, WITHOUT HAVING GONE THROUGH THE
25 COMPLETE REVIEW PROCESS, HOW WE SHOULD DETERMINE

BARRISTERS' REPORTING SERVICE

1 WHAT TO FUND AND NOT FUND. AND PARTICULARLY I
2 WONDER ABOUT THE THINGS WHERE THERE WASN'T ANYBODY
3 HERE ADVOCATING. SHOULD I ASSUME THAT THEY,
4 THEREFORE, AGREED WITH THE REVIEW, OR SHOULD I
5 ASSUME THAT HAD THEY KNOWN, THEY MIGHT HAVE BEEN
6 HERE TO IMPART THAT ON US.

7 BOTTOM LINE, JEFF AND THE WORKING GROUP,
8 YOU DO A LOT OF THINGS, BUT I THINK -- AND THE
9 STAFF -- IT WOULD BE VERY HELPFUL IF YOU SUMMARIZED
10 THOSE THAT YOU THINK WE SHOULD TAKE A LOOK AT AND
11 REALLY HAVE THAT PUT IN FRONT OF US AND SAY, YOU
12 SAID IT TODAY, BUT IT WOULD BE NICE TO HAVE THOSE
13 SORT OF IN ADVANCE SO WE CAN FOCUS ON THOSE AND TRY
14 TO FIGURE OUT WHY YOU WANT US TO LOOK. AND SAME
15 THING WITH YOU, ALAN AND OTHERS. IF YOU THINK
16 THERE'S SOMETHING -- THERE'S THIS DESIRE TO DO THE
17 BEST WE CAN TO FUND THE THINGS THAT ARE REAL AND
18 SHOULD BE FUNDED. BUT IF WE CAN FIND A PROCESS SO
19 THAT THERE REALLY IS A LEVEL PLAYING FIELD, I
20 THINK -- AND I'M NOT SAYING THERE ISN'T, BUT I THINK
21 IT BECOMES VERY HARD FOR US TO MAKE THESE DECISIONS.

22 DR. TROUNSON: MAYBE IN THE FUTURE I
23 SHOULD MEET WITH JEFF AND WE JUST SORT OF HELP IN
24 THIS PROCESS IF THAT'S AGREEABLE TO THE BOARD AND TO
25 JEFF. ANYTHING WE CAN DO TO HELP THE PROCESS I

BARRISTERS' REPORTING SERVICE

1 THINK WE'D WANT TO DO.

2 CHAIRMAN KLEIN: I'D ALSO POINT OUT THAT
3 THE STAFF IN GETTING SOME OF THESE LATE, WE NEED THE
4 INFORMATION. THE APPLICANT HAS A BETTER CHANCE IF
5 THEY DO IT EARLY BECAUSE IT GIVES THE STAFF A CHANCE
6 TO REALLY SEE IF THERE'S MERIT THERE AND GIVES THEM
7 A CHANCE TO THEN WRITE ABOUT IT. SO ENCOURAGE
8 STRONGLY FOR PEOPLE TO PUT THEM IN AS EARLY AS
9 POSSIBLE TO GIVE THE STAFF THE BEST CHANCE TO
10 RESPOND.

11 MS. SAMUELSON: MAY I ASK STAFF A QUESTION
12 ON THAT POINT?

13 CHAIRMAN KLEIN: I WOULD ALSO SAY THAT THE
14 INTERCHANGE HERE ON CERTAIN GRANTS WHERE THERE'S AN
15 EXTRAORDINARY PETITION IS INTENDED TO PICK UP
16 MISUNDERSTANDINGS BETWEEN THE WORKING GROUP AND THE
17 APPLICANT ON CERTAIN POINTS, AND IN SOME CASES
18 THERE'S BEEN NEW INFORMATION PUBLISHED. SO WE DO
19 HAVE A VERY CHALLENGING JOB, BUT WE HAVE A LOT OF
20 INFORMATION BEFORE US. DR. TROUNSON, DID YOU WANT
21 TO SAY ANYTHING ELSE? JOAN.

22 MS. SAMUELSON: QUICK QUESTION ON THE
23 EXTRAORDINARY PETITION TIMING ISSUE. WHEN WOULD THE
24 APPLICANT HAVE RECEIVED THE REVIEW SUMMARIES SO THAT
25 THEY HAVE SOMETHING TO RESPOND TO?

BARRISTERS' REPORTING SERVICE

1 DR. TROUNSON: GIL, CAN YOU ANSWER THAT
2 QUESTION?

3 CHAIRMAN KLEIN: THE QUESTION, GIL, WHEN
4 WOULD THE APPLICANT HAVE RECEIVED THE REVIEW
5 SUMMARIES? AND THE FRAMEWORK HERE IS WE'RE TRYING
6 TO MOVE GRANTS THROUGH AS RESPONSIBLY, ACCURATELY,
7 AND QUICKLY AS POSSIBLE, WHICH, JOAN, I KNOW IS ONE
8 OF YOUR PRIORITIES, SO WE HAVE A CONFLICT FOR THE
9 STAFF BETWEEN MOVING VERY TIMELY. AND WHEN YOU HAVE
10 A LOT OF GRANTS, HAVING ENOUGH TIME FOR THE STAFF TO
11 GET THOSE REVIEWS OUT, HOW MUCH TIME DID THEY HAVE
12 BEFORE THE MEETING?

13 DR. SAMBRANO: SO WE GIVE TYPICALLY TWO
14 WEEKS BEFORE THE MEETING. THAT'S WHEN WE SEND OUT
15 THE SUMMARIES TO APPLICANTS. BUT, OF COURSE, THIS
16 IS BY E-MAIL, AND SO THEY MAY SEE THEIR E-MAIL AT
17 DIFFERENT TIMES. I THINK MANY APPLICANTS WILL HAVE
18 VARIABLE TIME TO RESPOND. I THINK THAT'S THE ISSUE
19 AND PROBLEM WITH HAVING A PROCESS WHICH WE DO TRY TO
20 SPEED UP, BUT THAT'S KIND OF THE TIME FRAME THAT
21 WE'RE LOOKING AT GENERALLY.

22 MS. SAMUELSON: JUST SO IT'S CLEAR IN MY
23 HEAD, TWO WEEKS BEFORE, SO THAT'S ROUGHLY 14 DAYS
24 BEFORE NOW?

25 DR. SAMBRANO: YES.

BARRISTERS' REPORTING SERVICE

1 MS. SAMUELSON: WHAT WAS THE DEADLINE?

2 DR. SAMBRANO: IT'S FIVE BUSINESS DAYS
3 BEFORE THE BOARD MEETING.

4 MS. SAMUELSON: IN THIS CASE THAT WOULD
5 HAVE BEEN LAST THURSDAY.

6 DR. SAMBRANO: IT WOULD HAVE BEEN LAST
7 WEDNESDAY. SO SOMETIMES THEY'LL HAVE MAYBE A WEEK
8 TO PUT SOMETHING TOGETHER.

9 CHAIRMAN KLEIN: ONE OF THE CHALLENGES IS,
10 AS GIL POINTS OUT, THEY MAY BE OFF GIVING A SPEECH
11 IN LONDON OR A SCIENTIFIC PRESENTATION IN NEW YORK.
12 SO WE'RE TRYING AND STAFF HAS DONE HEROIC EFFORTS IN
13 TRYING TO MAKE SURE, AS YOU'VE SEEN TODAY, THAT THEY
14 CAN COME INFORMED WHEN YOU HAVE QUESTIONS EVEN
15 THOUGH THEY DIDN'T HAVE TIME TO DO A WRITE-UP. SO
16 WE'RE ALL WORKING TOGETHER TO DO THE BEST JOB
17 POSSIBLE.

18 DR. TROUNSON: YOU WOULDN'T GIVE ME A WEEK
19 TO RESPOND TO YOUR E-MAIL, WOULD YOU, CHAIR?

20 CHAIRMAN KLEIN: YOU KNOW, SOME OF THEM, I
21 THINK I GIVE YOU TWO OR THREE MONTHS.

22 MR. HARRISON, COULD WE HAVE THE STATUTORY
23 BASIS FOR BOTH EXECUTIVE SESSIONS, AND DO WE NEED TO
24 SEPARATELY STATE THOSE?

25 MR. HARRISON: NO. I THINK WE CAN JUST

BARRISTERS' REPORTING SERVICE

1 CITE THE HEALTH AND SAFETY CODE PROVISION WHICH
2 PERMITS THE BOARD TO CONVENE IN CLOSED SESSION TO
3 CONSIDER PROPRIETARY INFORMATION, IN THIS CASE
4 RELATING BOTH TO THE EARLY TRANSLATION II
5 APPLICATIONS AND THE RESEARCH LEADERSHIP
6 APPLICATION. AND THAT IS HEALTH AND SAFETY CODE
7 SECTION 125290.30(D)(3)(B) AND (C).

8 CHAIRMAN KLEIN: OKAY. WHERE LOGISTICALLY
9 ARE WE GOING?

10 MS. KING: OUT THE BACK DOOR OF THE ROOM
11 AND ACROSS TO THE OTHER SIDE OF THIS FLOOR OF THE
12 BUILDING TO THE WEST COAST ROOM. LUNCH IS AVAILABLE
13 IN THERE FOR THE BOARD AND THE STAFF. I'D LIKE TO
14 INVITE THE STAFF TO GO IN REALLY QUICKLY AND GRAB
15 YOURS UNLESS YOU'RE STAYING IN THE CLOSED SESSION,
16 THAT IS.

17 CHAIRMAN KLEIN: SO I'D LIKE US TO TRY AND
18 GET BACK IN 45 MINUTES BECAUSE WE HAVE SOME MEMBERS
19 WHO ARE GOING TO TRY -- WHO HAVE DEADLINES TODAY IN
20 TERMS OF THEIR ABILITY TO STAY IN THE SESSION. SO
21 GETTING THE LARGEST GROUP IN THESE VOTES IS VERY
22 IMPORTANT. SO LET'S TRY AND MOVE QUICKLY. WE ARE
23 IN EXECUTIVE SESSION AT THIS POINT.

24 (A RECESS WAS TAKEN.)

25 CHAIRMAN KLEIN: WE'RE GOING TO RECONVENE

BARRISTERS' REPORTING SERVICE

1 HERE. JEFF, CAN I ASK YOU, DID YOU HAVE AN
2 APPLICATION THAT YOU WANTED TO ADDRESS, JEFF?

3 MR. SHEEHY: I DID. I DID. AND I THINK
4 THE NUMBER IS 1767. JUST LET ME CONFIRM THAT THAT'S
5 THE ONE. NO. 1768. AND I WOULD LIKE TO MOVE TO --
6 MOTION TO MOVE THAT INTO THE FUNDABLE CATEGORY,
7 THOUGH I DID THINK DR. FRIEDMAN MAY HAVE A FRIENDLY
8 AMENDMENT THAT I WOULD TAKE TO MY MOTION. THIS IS
9 THE EYE ONE. SO I'M GOING TO MOTION TO MOVE THAT
10 UP. MAYBE IF YOU'D LIKE TO MAKE YOUR FRIENDLY
11 AMENDMENT AND THEN DO THE SECOND, BUT I WANT TO MOVE
12 IT INTO THE FUNDABLE CATEGORY.

13 CHAIRMAN KLEIN: QUESTION FOR DR.
14 FRIEDMAN. DO YOU HAVE A MOTION THAT WOULD ALLOW YOU
15 TO MAKE A SECOND?

16 DR. FRIEDMAN: YES. I THINK SO. AND ALL
17 MY AMENDMENTS ARE FRIENDLY, SO THIS WILL BE NO
18 DIFFERENT THAN ANY OF THE OTHERS. I SUGGEST THAT
19 BEFORE MAKING THE AWARD AN AGREEMENT BE FORMALIZED
20 WITH THE PRINCIPAL INVESTIGATOR TO SAY THAT THEY
21 WILL BE IN CONTACT WITH THE FOOD AND DRUG
22 ADMINISTRATION PRIOR TO INITIATING STUDIES TO MAKE
23 SURE THAT THE LABORATORY PROCEDURES THAT THEY'RE
24 TALKING ABOUT, THE GMP-LIKE PROCEDURES THEY'RE
25 TALKING ABOUT, WILL FIT WELL WITHIN THE REGULATORY

BARRISTERS' REPORTING SERVICE

1 FRAMEWORK THAT ALREADY EXISTS. IT WOULD BE A SHAME
2 TO HAVE THE RESEARCH PROCEED AND THEN FIND LATER
3 THAT IT WAS OUT OF COMPLIANCE IN SOME WAY THAT
4 WOULDN'T ALLOW FOR THE PROMPT CLINICAL APPLICATION,
5 WHICH, AS I UNDERSTAND FROM JEFF AND JOAN AND MANY
6 OTHER PEOPLE, IS GETTING THIS SPEEDILY, AND CARMEN,
7 GETTING THIS SPEEDILY TO THE CLINIC IS ONE OF THE
8 GOALS HERE. I WOULD ASK FOR THAT TO BE A FORMAL
9 EXPECTATION.

10 WITH RESPECT TO THE SIZE OF THE GRANT, I'M
11 NOT GOING TO MAKE ANY OBSERVATION. I WOULD RATHER
12 KEEP IT MORE NARROWLY FOCUSED ON THE FIRST PART OF
13 THE ACTIVITY, BUT I'LL LEAVE THAT TO YOU, JEFF. AND
14 I SECOND IT.

15 MR. SHEEHY: YEAH. AND WE'RE TALKING ABOUT
16 FOR AIM 1 THAT THEY DO SOME COMPLIANCE, WHICH I'M
17 VERY COMFORTABLE WITH.

18 CHAIRMAN KLEIN: SOME REGULATORY VETTING.

19 MR. SHEEHY: YEAH. BECAUSE IT'S REALLY
20 ABOUT THE MEDIUM, THE FEEDER MEDIUM, TO GET THE
21 RIGHT ONE.

22 CHAIRMAN KLEIN: SO WE HAVE A MOTION AND A
23 SECOND. ARE THERE COMMENTS FROM THE BOARD?

24 MR. ROTH: JUST IN FOLLOWING THAT LOGIC,
25 AND I REALIZE IT'S A SMALL AMOUNT OF MONEY, BUT I'D

BARRISTERS' REPORTING SERVICE

1 LIKE TO SEE THE MONEY GO INTO AIM 1 AND FUND THAT
2 EVEN IF IT'S A LITTLE MORE THAN THEY ASKED FOR. I
3 DON'T UNDERSTAND WHY WE WOULD FUND AIMS THAT THERE'S
4 CLEARLY CONSENSUS DON'T MAKE SENSE.

5 MR. SHEEHY: I DON'T THINK THAT THE
6 CONSENSUS IS THAT THEY DON'T MAKE SENSE. THEY
7 ACTUALLY FOLLOWED QUITE LOGICALLY. THE FIRST AIM IS
8 TO TAKE A PROCEDURE THAT IS WELL ESTABLISHED IN
9 EUROPE, BUT DONE ON THE WRONG TYPES OF FEEDER
10 MATERIAL. THE SECOND AIM IS TO TRY TO EXPAND THE
11 CELLS THAT THEY GET. AND THE THIRD AIM IS TO TRY TO
12 DERIVE THE CELLS THAT THEY'RE USING FROM A DIFFERENT
13 SOURCE. SO THE AIMS DO FOLLOW LOGICALLY. THEY JUST
14 GET PROGRESSIVELY MORE AMBITIOUS.

15 AND I WOULD NOT WANT -- GIVEN THAT THE
16 AMOUNT OF MONEY IS RELATIVELY SMALL, I WOULD LIKE TO
17 SEE THE SCIENTISTS MOVE DOWN THIS PATH. I MEAN THE
18 FIRST AIM SEEMS RELATIVELY -- SEEMS LIKE SOMETHING
19 THAT THEY'RE GOING TO BE ABLE TO ACHIEVE. THE
20 SECOND IS PROGRESSIVELY MORE DIFFICULT; BUT IN THE
21 CONTEXT OF SUCCEEDING WITH AIM 1 WOULD MEAN THAT
22 THIS PROCEDURE COULD BE AVAILABLE TO MORE PATIENTS.
23 AND IF BY SOME CHANCE THEY DID SUCCEED IN AIM 3,
24 THEY WOULD HAVE SOMETHING THAT WAS AVAILABLE TO AN
25 ENORMOUS NUMBER OF PATIENTS.

BARRISTERS' REPORTING SERVICE

1 SO I WOULDN'T SAY JUST BECAUSE YOU HAVE A
2 RELATIVELY -- YOU HAVE A VERY AMBITIOUS AIM 3, THAT
3 WE DON'T WANT TO FUND IT. I MEAN GIVEN THE AMOUNT
4 OF MONEY THAT WE'RE SPENDING HERE AND THERE, I'D
5 LIKE TO SEE IF SOMEBODY HAS SOMETHING THAT WORKS TO
6 MAKE SURE THAT WE EXPAND IT TO GET TO AS MANY
7 PATIENTS AS POSSIBLE. SO I WOULD LIKE TO ACTUALLY
8 SEE IT FULLY FUNDED WITH ALL AIMS.

9 DR. STEWARD: SO I THINK I REMEMBER THAT
10 THE CRITICISMS WEREN'T THAT THE AIMS WERE AMBITIOUS,
11 BUT RATHER THAT THEY WERE FLAWED. AND I WONDER IF
12 WE COULD GO BACK. I THINK THERE WAS A MAYBE
13 TWO-SENTENCE SUMMARY OF THAT. CAN WE JUST HEAR THAT
14 AGAIN? I'LL TELL YOU WHY.

15 I MEAN LOOKING AT THE BUDGET, YOU SAY, OH,
16 THIS IS NOT A LARGE AMOUNT MONEY. ACTUALLY IT'S
17 CLOSE TO \$2 MILLION. THAT SOUNDS LIKE A LOT OF THE
18 MONEY FOR ME. IT MAY NOT BE IN THE CONTEXT OF WHAT
19 WE'RE GIVING OUT FOR OTHER THINGS, BUT SORT OF NIH
20 TERMS, THAT'S A TON OF MONEY. IF WE HAVE -- I THINK
21 WE'RE HEARING THAT THERE'S A VERY STRONG AIM 1.
22 GREAT. IF THE OTHER TWO AIMS ARE FLAWED, THEN I
23 THINK WE OUGHT TO CUT THE BUDGET SO THAT THEY CAN DO
24 THE WORK ON AIM 1 AND MAKE IT VERY CLEAR THAT THAT'S
25 WHAT THEY SHOULD DO AND NOT WASTE MONEY ON THINGS

BARRISTERS' REPORTING SERVICE

1 THAT ARE FLAWED. HEAR THE SUMMARY AGAIN IF WE
2 COULD.

3 CHAIRMAN KLEIN: DR. YAFFE. AND I THINK
4 THERE'S A DISTINCTION HERE. AIM 2 DOES NOT RELY ON
5 GENERATING THE NEW CELLS WITH IPS CELLS. AIM 2 IS
6 EXPANDING THE CELLS. SO I'M NOT -- I THINK THERE'S
7 A MISUNDERSTANDING THAT WAS CLARIFIED IN THIS
8 DISCUSSION THAT AIM 2, I THINK, FOLLOWS MUCH MORE
9 CLOSELY INTO THE SAME CATEGORY AS AIM 1. DR. YAFFE,
10 COULD YOU EDUCATE US HERE?

11 DR. YAFFE: THE CRITICISM ABOUT AIM 2 WAS
12 THE REVIEWERS FELT THE PROPOSAL LACKED COMPELLING
13 PRELIMINARY DATA IN SUPPORT OF THE USE OF
14 WNT-TO-NOTCH MODULATION TO REGULATE LIMBAL STEM CELL
15 DIFFERENTIATION. IT WAS THE CRUX OF AIM 2 WAS TO
16 EXPAND LIMBAL STEM CELLS BY TWEAKING THE NOTCH AND
17 WNT PATHWAYS.

18 IN ADDITION, THE CRITICISM -- THAT WAS THE
19 SUBSTANTIVE CRITICISM ABOUT AIM 2. AIM 3 WAS
20 SIMILAR. THE ABSENCE OF PUBLISHED OR PRELIMINARY
21 DATA SUPPORTING THE TRANSDIFFERENTIATION OF
22 EPIDERMAL STEM CELLS INTO CORNEAL EPITHELIAL CELLS.
23 SO CRITICISMS WERE LACK OF PRELIMINARY DATA WHICH
24 RAISED SERIOUS QUESTIONS ABOUT THE FEASIBILITY OF
25 THOSE TWO SPECIFIC AIMS.

BARRISTERS' REPORTING SERVICE

1 DR. STEWARD: I WAS GOING TO SAY I CAN'T
2 VOTE FOR THIS AT FULL LEVEL OF FUNDING. I'LL JUST
3 ANNOUNCE THAT NOW.

4 CHAIRMAN KLEIN: CAN I ASK, LACK OF
5 PRELIMINARY DATA OR LACK OF SUFFICIENT DATA?

6 DR. YAFFE: FOR THE SECOND AIM WAS LACK OF
7 SUFFICIENT AND CONVINCING AND COMPELLING DATA. FOR
8 THE THIRD IT WAS LACK OF ANY DATA. NO ONE HAS
9 PUBLISHED ON THAT TRANSDIFFERENTIATION YET.

10 CHAIRMAN KLEIN: THE SECOND AIM DID HAVE
11 DATA.

12 MR. SHEEHY: HOW ABOUT WE SPLIT THE
13 DIFFERENCE? AIM 3 IS CLEARLY INCREDIBLY AMBITIOUS.
14 AIM 1 AND 2 SEEM REASONABLE. AIM 1 IS VERY -- SO I
15 WOULD AGREE TO A FRIENDLY AMENDMENT THAT WE PROCEED
16 FUNDING AIM 1 AND AIM 2 AND NOT AIM 3.

17 DR. STEWARD: WITH AN APPROPRIATE
18 REDUCTION IN BUDGET.

19 MR. SHEEHY: ABSOLUTELY. AND DR. FRIEDMAN
20 IS COMFORTABLE WITH THAT?

21 CHAIRMAN KLEIN: IS THE SECOND
22 COMFORTABLE?

23 MR. SHEEHY: IS THE CHAIR COMFORTABLE WITH
24 THAT?

25 DR. FRIEDMAN: VERY MUCH.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN KLEIN: THANK YOU. SO THE
2 AMENDMENT HAS BEEN ACCEPTED. ARE THERE ADDITIONAL
3 COMMENTS? PARTICIPATING IN THE PEER REVIEW SESSION
4 THAT'S BEING REFERENCED, I THINK THAT THERE ARE SOME
5 REVIEWERS THAT HELD EVERYONE TO A HIGH LEVEL OF
6 DATA, THERE WERE SOME THAT DIDN'T. AND THIS HAD
7 DATA AND THERE WAS AN ARGUMENT ABOUT SUFFICIENCY.
8 AND IF WE'RE GOING TO MOVE THIS FIELD FORWARD, THE
9 SECOND AIM IS REACHING, BUT WITHIN A REASONABLE
10 RANGE.

11 MS. GIBBONS: WHAT ABOUT THE REDUCTION OF
12 THE BUDGET?

13 CHAIRMAN KLEIN: THEY'RE GOING TO LEAVE IT
14 TO THE SCIENTIFIC STAFF TO MAKE THOSE DECISIONS.
15 THAT'S THE PROPOSAL.

16 ALL RIGHT. IS THERE ADDITIONAL COMMENTS
17 FROM THE BOARD?

18 MS. SAMUELSON: YES. JUST TO SAY I THINK
19 IT'S IMPORTANT THAT THE BOARD KNOW THAT THERE WAS A
20 REAL SPLIT IN THE VIEW ABOUT SUFFICIENCY OF DATA.
21 SOME SEEING THIS AS IMPORTANTLY AMBITIOUS AND
22 SUPPORTED BY SUFFICIENT DATA.

23 CHAIRMAN KLEIN: THANK YOU. PUBLIC
24 COMMENT?

25 MR. JENSEN: MY COMMENTS ARE NOT TO THE

BARRISTERS' REPORTING SERVICE

1 SUBSTANCE OF THE GRANT APPLICATION, BUT TO THE
2 PROCESS HERE. THIS APPLICATION, THIS PETITION CAME
3 IN LATE AS DID OTHER PETITIONS, AND THERE'S A
4 FUNDAMENTAL QUESTION OF FAIRNESS INVOLVING THE OTHER
5 GRANT APPLICATIONS WHOSE AUTHORS DO NOT UNDERSTAND
6 THAT THERE'S A FICTITIOUS DEADLINE FOR SUBMITTING
7 THESE KINDS OF PETITIONS. SO YOU'VE GOT A FAIRNESS
8 ISSUE. I DON'T THINK IT NECESSARILY DISQUALIFIES
9 THIS FROM FUNDING, BUT THERE'S MORE THAN ONE
10 PETITION AND THIS HAS BEEN A REPEATED PROBLEM.

11 CHAIRMAN KLEIN: MR. JENSEN, THAT
12 PREJUDICES THE PETITIONER BECAUSE THE STAFF DOESN'T
13 HAVE THE TIME TO RESEARCH ALL THEIR CLAIMS.
14 PETITIONERS ARE IN MUCH BETTER SHAPE IF THEY COME IN
15 EARLY. OUR FUNDAMENTAL OBLIGATION IS TO PATIENTS
16 AND SCIENCE. AND SO AS YOU SAY, IT DOESN'T
17 DISQUALIFY IT. IT PUTS THE APPLICANT IN A
18 DISADVANTAGED POSITION IF THEY'RE GOING TO MAKE AN
19 EXTRAORDINARY PETITION AT ALL.

20 MR. JENSEN: WHAT I WOULD SUGGEST THEN IS
21 THAT THE BOARD, THE AGENCY, REMOVE THE DEADLINE
22 REQUIREMENT ENTIRELY BECAUSE YOU'RE PUTTING ON
23 INFORMATION THAT DISADVANTAGES OTHERS WHO DON'T
24 UNDERSTAND THIS IS A FICTITIOUS DEADLINE.

25 MR. SHEEHY: CAN I SPEAK TO THIS, BOB,

BARRISTERS' REPORTING SERVICE

1 REALLY QUICKLY? AS OUR ESTEEMED JOURNALIST FRIEND
2 KNOWS, BAGLEY-KEENE DOESN'T ALLOW US TO PRESENT ANY
3 KIND OF DEADLINE FOR RECEIVING INPUT FROM THE
4 PUBLIC. WE'RE MEETING IN PUBLIC. SO THE DEADLINE
5 NEVER APPLIED TO ANYTHING BUT THE ABILITY OF STAFF
6 TO REVIEW IT. SOMEONE CAN WALK IN RIGHT NOW WITH AN
7 EXTRAORDINARY PETITION WITH 29 COPIES AND GET THREE
8 MINUTES OF TIME AND PASS OUT THOSE COPIES TO THE
9 BOARD PER OUR OPEN GOVERNMENT LAWS THAT EXIST.

10 SO I THINK THE MISUNDERSTANDING MAY BE ON
11 THE PART OF THE APPLICANTS, BUT WE HAVE BEEN VERY
12 CLEAR FROM THE BEGINNING THAT IF YOU SUBMIT IT
13 EARLY, IF YOU SUBMIT IT BY THE DEADLINE, STAFF CAN
14 REVIEW IT. IF YOU HAVE MATERIAL INFORMATION THAT
15 STAFF CAN MAKE A COMMENT ON THAT WOULD HELP YOUR
16 APPLICATION, THAT GIVES YOU THAT OPPORTUNITY. AND
17 THOSE THAT STAFF HAVE COMMENTED ON FAVORABLY HAVE
18 DONE BETTER THAN THE OTHER ONES.

19 MR. JENSEN: I UNDERSTAND THAT. ISN'T
20 THERE A QUASI DEADLINE ON THE SITE IN THIS PETITION
21 PROCESS?

22 CHAIRMAN KLEIN: I THINK YOUR POINTS HAVE
23 BEEN TAKEN, MR. JENSEN. AND WE'LL TRY AND TAKE THIS
24 UNDER CONSIDERATION HOW WE CAN PROVIDE BETTER
25 INFORMATION.

BARRISTERS' REPORTING SERVICE

1 SO WE HAVE NO OTHER PUBLIC COMMENTS. I'D
2 LIKE TO CALL THE QUESTION. DO WE HAVE MEMBERS ON
3 THE PHONE?

4 MS. KING: I WAS JUST ABOUT TO CHECK THAT.
5 MARCY FEIT, ARE YOU ON THE PHONE WITH US RIGHT NOW?
6 IF SHE'S NOT, WE HAVE A QUORUM IN THE ROOM ANYWAY,
7 BUT IT WAS GOOD TO CHECK IT. APPARENTLY SHE IS NOT
8 ON THE LINE CURRENTLY.

9 CHAIRMAN KLEIN: THANK YOU. SO I'M GOING
10 TO CALL THE QUESTION.

11 MS. KING: I ALSO WANTED TO LET YOU KNOW
12 THAT DR. DENG, THE PI, ASKED ME TO LET YOU KNOW THAT
13 SHE WAS CALLED INTO THE OPERATING ROOM, I BELIEVE,
14 FOR A PATIENT, BUT SHE WOULD COME BACK, AND SHE'S
15 SORRY TO HAVE MISSED ANY QUESTIONS THAT THE BOARD
16 HAS.

17 CHAIRMAN KLEIN: THANK YOU. SO I AM GOING
18 TO CALL THE QUESTION. IF IT APPEARS CLOSE, I WILL
19 DO A ROLL CALL. OTHERWISE, WE'LL GO WITH A GENERAL
20 CALL OF THE QUESTION.

21 MR. SHEEHY: BECAUSE OF CONFLICTS, WE HAVE
22 TO DO ROLL CALL.

23 CHAIRMAN KLEIN: THAT'S RIGHT.
24 ABSOLUTELY. WE DO HAVE A CONFLICT ON THIS? WE DO.
25 THANK YOU VERY MUCH. SO MELISSA.

BARRISTERS' REPORTING SERVICE

1 MS. KING: THE WAY WE WILL HANDLE IT IS I
2 WILL ONLY CALL YOU IF YOU ARE PRESENT AND NOT IN
3 CONFLICT.

4 GORDON GILL.

5 DR. GILL: YES.

6 MS. KING: JACOB LEVIN.

7 DR. LEVIN: YES.

8 MS. KING: MICHAEL FRIEDMAN.

9 DR. FRIEDMAN: YES.

10 MS. KING: LEEZA GIBBONS.

11 MS. GIBBONS: YES.

12 MS. KING: MICHAEL GOLDBERG.

13 MR. GOLDBERG: YES.

14 MS. KING: BOB KLEIN.

15 CHAIRMAN KLEIN: YES.

16 MS. KING: TED LOVE.

17 DR. LOVE: YES.

18 MS. KING: PHIL PIZZO.

19 DR. PIZZO: I'VE BEEN GOING BACK AND
20 FORTH.

21 MS. KING: WOULD YOU LIKE ME TO COME BACK
22 TO YOU?

23 DR. PIZZO: COULD YOU?

24 MS. KING: OKAY.

25 KEN BURTIS.

BARRISTERS' REPORTING SERVICE

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

DR. BURTIS: YES.

MS. KING: FRANCISCO PRIETO.

DR. PRIETO: AYE.

MS. KING: ROBERT QUINT.

DR. QUINT: YES.

MS. KING: JEANNIE FONTANA.

DR. FONTANA: YES.

MS. KING: DUANE ROTH.

MR. ROTH: YES.

MS. KING: JOAN SAMUELSON.

MS. SAMUELSON: YES.

MS. KING: JEFF SHEEHY.

MR. SHEEHY: YES.

MS. KING: OSWALD STEWARD.

DR. STEWARD: ACTUALLY I'D LIKE TO JUST SAY I'M GOING TO MAKE MY VOTE NOT BASED ON THE EXTRAORDINARY PETITION, BUT RATHER BASED ON THE INFORMATION THAT WAS PROVIDED TO US IN THE REVIEW AND THE INFORMATION THAT WAS DISCUSSED IN THE PROPRIETARY SESSION. JUST TO MAKE IT CLEAR THAT THIS IS A VOTE THAT IS IRRESPECTIVE OF THE EXTRAORDINARY PETITION. AND THAT VOTE IS YES.

MS. KING: ART TORRES.

MR. TORRES: AYE.

MS. KING: AND I WILL COME BACK TO PHIL

BARRISTERS' REPORTING SERVICE

1 PIZZO.

2 DR. PIZZO: JUST FOR THE RECORD, I'M GOING
3 TO SAY NO.

4 MS. SHEEHY: YOU MISSED JON SHESTACK.

5 MS. KING: JON SHESTACK.

6 MR. SHESTACK: YES.

7 MS. KING: THANK YOU.

8 CHAIRMAN KLEIN: ALL RIGHT. WHILE THEY'RE
9 TABULATING THAT, IS THERE A BOARD MEMBER WHO WOULD
10 LIKE TO MAKE A MOTION ON ANY OTHER CANDIDATE NOT
11 CURRENTLY RECOMMENDED?

12 MS. SAMUELSON: YES.

13 MR. HARRISON: FOR THE RECORD THAT MOTION
14 CARRIED.

15 CHAIRMAN KLEIN: THANK YOU.

16 MS. SAMUELSON: I WOULD LIKE TO MOVE THAT
17 APPLICATION NO. 1778 BE MOVED INTO THE FUNDABLE
18 CATEGORY. THIS IS A GRANT REGARDING INFLAMMATION IN
19 PARKINSON'S DISEASE IN A HUMANIZED IN VITRO MODEL.

20 THERE WAS -- IN THE DISCUSSION IN THE
21 WORKING GROUP, THERE WAS A GREAT SPLIT AMONG THE
22 REVIEWERS WITH SOME FINDING THIS TO BE A HIGH RISK,
23 HIGH IMPACT PROPOSAL AND WITH VERY, VERY LAUDATORY
24 COMMENTS ABOUT THE SCIENTIST TEAM, SAYING THAT IT'S
25 A REASONABLE AND ACHIEVABLE PLAN, THAT THIS WOULD

BARRISTERS' REPORTING SERVICE

1 USE SKIN CELLS FROM PARKINSON'S PATIENTS AND
2 REPROGRAM THEM INTO NEURONS AND OTHER SURROUNDING
3 CELLS IN THE BRAIN. AND IT TALKED ABOUT THE FACT
4 THAT THE TEAM HAS EXPERIENCE WITH THE NEURONAL
5 DIFFERENTIATION OF HESC'S AND IPSC'S INTO NEURONS
6 WITH SPECIFIC NEUROTRANSMITTER PHENOTYPES. AND THAT
7 THE PI IS A LEADING INTERNATIONAL AUTHORITY IN
8 NEUROSCIENCE, AN IDEAL PARTNER -- THAT HIS PARTNER
9 HAS ACCESS TO AND EXPERTISE IN THE ANALYSIS OF
10 PARKINSON'S PATIENTS AND IS AN IDEAL PARTNER FOR
11 THESE STUDIES AND FINDING THAT THE TEAM IS HIGHLY
12 APPROPRIATE IN MEETING THE NEEDS OF THE PROJECT.

13 AND THEN, OF COURSE, THERE WAS DISCUSSION
14 ABOUT THE FACT THAT THIS IS ENORMOUSLY IMPORTANT
15 INFORMATION TO MAKE PROGRESS WITH TO FIND SOMETHING
16 THAT WILL STOP THE PROGRESSION OF PARKINSON'S AND
17 ELIMINATE THE EFFECTS OF IT IN PEOPLE AROUND THE
18 WORLD.

19 SO I'D LIKE TO MOVE ITS MOVEMENT INTO THE
20 FUNDABLE CATEGORY.

21 MR. SHEEHY: SECOND.

22 CHAIRMAN KLEIN: THERE'S A SECOND FROM
23 JEFF SHEEHY. BEFORE ADDITIONAL DISCUSSION, I'D LIKE
24 TO HAVE STAFF PRESENTATION SO WE'RE ALL ON A LEVEL
25 PLAYING FIELD HERE.

BARRISTERS' REPORTING SERVICE

1 DR. SAMBRANO, MY RECOLLECTION IS THAT ONE
2 OF THE CRITICISMS HERE IS THAT THERE WAS A LACK OF
3 AN APPROPRIATE TARGET TO QUALIFY FOR A DC. AND
4 COULD YOU COMMENT WHAT -- IN TERMS OF YOUR VIEW OF
5 VALUE THAT COULD BE DERIVED BY TRYING TO DEAL WITH
6 THIS AS A DCF? IS THE TEAM AND THE SCIENCE THAT HAS
7 BEEN PROPOSED AND THE DEVELOPMENT AT THIS POINT
8 SUFFICIENT TO GIVE US REAL VALUE AS A DCF IN
9 ADDITION TO GIVING US AN OVERVIEW?

10 DR. SAMBRANO: SURE. I THINK PERHAPS
11 ANSWERS TO THOSE QUESTIONS LIE IN THE SUMMARY AND
12 THE OVERVIEW. LET ME JUST KIND OF TAKE IT FROM THE
13 TOP.

14 AND SO THIS PROPOSAL IS A DEVELOPMENT
15 CANDIDATE AWARD APPLICATION, AND ITS GOAL IS TO
16 UNDERSTAND AND MODIFY NEURAL INFLAMMATION AS A MEANS
17 FOR TREATING PARKINSON'S DISEASE. AND SO THE PI HAS
18 IDENTIFIED A SPECIFIC NUCLEAR RECEPTOR, WHICH IS THE
19 TARGET THAT THEY ARE AFTER, AS WELL AS POTENTIAL
20 OTHER RELATED NUCLEAR RECEPTORS. SO THIS PARTICULAR
21 NUCLEAR RECEPTOR HAS BEEN SHOWN TO IMPACT OR AFFECT
22 NEURAL INFLAMMATION. AND SO THEY WANT TO TEST THAT
23 IN THE CONTEXT OF PARKINSON'S DISEASE.

24 AND SO THE APPLICANT PROPOSES A GENERAL
25 STRATEGY TO IDENTIFY SMALL MOLECULE AGONISTS OF THE

BARRISTERS' REPORTING SERVICE

1 RECEPTOR USING PARKINSON'S DISEASE PATIENT-DERIVED
2 IPSC CELLS AND THEIR DERIVATIVES.

3 NOW, THE REVIEWERS THOUGHT THAT THE
4 PROPOSAL OVERALL WAS INTERESTING AND THAT THE USE OF
5 THIS PARTICULAR NUCLEAR RECEPTOR WAS AN INTERESTING
6 FOCUS. HOWEVER, THE STUDIES, AS I REITERATED
7 BEFORE, THERE WERE A TOTAL OF NINE AIMS PROPOSED
8 UNDER THIS GRANT PROPOSAL, WERE FOCUSED ON TARGET
9 DISCOVERY AND BASIC MECHANISTIC INVESTIGATION. SO
10 THEY STRONGLY FELT THAT THIS WAS NOT RESPONSIVE TO
11 THE OBJECTIVES OF THE RFA.

12 AND IN ADDITION, I THINK THEY ALSO WERE
13 NOT CONVINCED THAT THE UNDERLYING ASSUMPTION THAT A
14 REDUCTION IN INFLAMMATION IN PATIENTS WITH
15 PARKINSON'S DISEASE WOULD NECESSARILY BE OF CLINICAL
16 BENEFIT SINCE IT'S BEEN SHOWN THAT INFLAMMATION IN
17 MANY CASES ACTUALLY HAS REGENERATIVE EFFECTS.

18 AND SO THE OVERALL IMPACT, THEY FELT, OUT
19 OF PURSUING THESE STUDIES WOULD ACTUALLY RELATE TO
20 THE DEVELOPMENT OF DIFFERENTIATION PROTOCOLS FOR
21 MICROGLIA, FOR EXAMPLE, AND THE USE OF INDUCED
22 PLURIPOTENT STEM CELL-BASED TOOLS FOR POTENTIAL
23 SCREENING AND DRUG DISCOVERY EFFORTS. AND I THINK
24 THAT IS THE CRUX OF WHAT THE OUTCOMES OF THIS MIGHT
25 BE.

BARRISTERS' REPORTING SERVICE

1 AND, AGAIN, OVERALL THEY THOUGHT IT WAS
2 BROAD AND COMPREHENSIVE IN TERMS OF ADDRESSING THESE
3 BASIC MECHANISMS AND WERE CONTINGENT IN MANY CASES
4 ON TECHNIQUES OR PROTOCOLS THAT HAD NOT YET BEEN
5 DEVELOPED AND WOULD BE THE SUBJECT OF THE FUNDING
6 UNDER THIS AWARD. AND SO THAT WAS ANOTHER REASON
7 THEY FELT IT WAS A BIT EARLY.

8 AND THEN PRIMARILY IN TERMS OF LOOKING AT
9 IT FROM THE DEVELOPMENT CANDIDATE PERSPECTIVE, THEY
10 DIDN'T THINK THAT THE STUDIES PROPOSED WOULD
11 NECESSARILY GET THEM TO A SINGLE WELL-DEFINED
12 DEVELOPMENT CANDIDATE, AND THEY THOUGHT THAT THE
13 STUDY WAS MORE SUITED FOR A BASIC BIOLOGY DISCOVERY
14 PROJECT. AND, AGAIN, THE REVIEWERS PRAISED THE PI.
15 THEY THOUGHT BOTH THE PI AND THE TEAM WERE
16 EXCELLENT. THEY HAVE EXCELLENT RESOURCES TO CARRY
17 OUT THE PROPOSED WORK. SO I DON'T THINK THEY HAD
18 ANY DOUBT THAT WHAT WAS PROPOSED COULD BE CARRIED
19 OUT. I THINK THEY FELT MOSTLY THAT IT DIDN'T FIT
20 WITHIN THE CONTEXT OF THIS PARTICULAR RFA, THAT THEY
21 WOULD NOT GET TO A DEVELOPMENT CANDIDATE, AND THAT
22 THE INDIVIDUAL AIMS BEING SO BROAD COULD ACTUALLY BE
23 THE SUBJECT OF INDIVIDUAL GRANT PROPOSALS.

24 AND, AGAIN, THIS WAS BROUGHT UP DURING THE
25 PROGRAMMATIC DISCUSSION, AND THE WORKING GROUP DID

BARRISTERS' REPORTING SERVICE

1 CONSIDER WHETHER THIS COULD BE A DCF AWARD GIVEN THE
2 BASIC NATURE OF THE WORK. BUT I THINK IN
3 CONSIDERING THAT, THEY FELT THAT THERE WASN'T
4 ANYTHING AMONG THOSE AIMS THAT WOULD NECESSARILY
5 DIRECTLY ADDRESS THE OBJECTIVES OF THE RFA. AND SO
6 A MOTION TO MOVE THE AWARD INTO TIER I -- THE
7 APPLICATION INTO TIER I WAS ULTIMATELY WITHDRAWN.

8 CHAIRMAN KLEIN: MY RECOLLECTION ACTUALLY
9 IS THAT THERE WAS A DISCUSSION, AND THE SCIENTIFIC
10 STAFF THOUGHT THE TIMING MIGHT WORK OUT SO THAT THE
11 APPLICANT COULD THEN SUBMIT THIS IN THE BASIC
12 SCIENCE RFA BECAUSE THERE WAS QUITE A BIT OF VERY
13 HIGH LEVEL OF INTEREST IN THE SCIENTIFIC THEORY AND
14 THE PI TEAM. AND AT THAT TIME IN THE PEER REVIEW,
15 IT WAS THOUGHT THAT THEY COULD MEET THE TIMING TO DO
16 SO. THAT, IN FACT, DIDN'T TURN OUT TO BE THE CASE
17 BECAUSE THE DEADLINES DID NOT WORK OUT. AND SO THIS
18 ISSUE OF A DCF WAS DROPPED WITH THE PEER REVIEWERS
19 THINKING THAT, IN FACT, THIS COULD MAKE -- COULD BE
20 CAPTURED AND SAVED IN THE BASIC SCIENCE ROUND.

21 SO THOSE FACTS ACTUALLY DIDN'T WORK OUT TO
22 BE CORRECT, SO WE HAVE A LITTLE BIT OF A DIFFICULT
23 INTERPRETATION HERE IN WEIGHING WHAT THE
24 DECISION-MAKING WAS OF THE PEER REVIEWERS; IS THAT
25 RIGHT?

BARRISTERS' REPORTING SERVICE

1 DR. SAMBRANO: THAT'S CORRECT. THE
2 QUESTION OF WHETHER THIS TYPE OF PROJECT WOULD
3 QUALIFY FOR A BASIC BIOLOGY RFA WAS BROUGHT UP, AND
4 IT WAS SAID THAT THERE WAS IN OCTOBER A DEADLINE
5 COMING UP. AND THE TIMING WAS NOT SPECIFICALLY
6 DISCUSSED, BUT NEVERTHELESS, I THINK ONE OF THE
7 IMPORTANT ISSUES IS THAT THE REVIEWERS THOUGHT HERE
8 IS A PROJECT THAT HAS NINE AIMS THAT COULD EACH BE
9 ESSENTIALLY A BASIC BIOLOGY PROPOSAL. SO IT'S NOT
10 NECESSARILY THE CASE THAT YOU COULD TAKE THIS
11 SPECIFIC APPLICATION AND TURN IT INTO A BASIC
12 BIOLOGY APPLICATION.

13 IT WOULD REQUIRE THE APPLICANT TO
14 ESSENTIALLY SELECT AND CHOOSE SOMETHING AMONG WHAT
15 WAS PROPOSED AND BRING IT FORWARD TO SOMETHING LIKE
16 THE BASIC BIOLOGY RFA.

17 CHAIRMAN KLEIN: OKAY. JEFF SHEEHY AND
18 THEN OS STEWARD.

19 MS. SAMUELSON: I WANT TO RESPOND TO SOME
20 OF THOSE COMMENTS.

21 MR. SHEEHY: I WANT TO BE REALLY CLEAR
22 ABOUT WHAT HAPPENED IN PROGRAMMATIC REVIEW BECAUSE
23 WE NEVER GOT TO A VOTE ON THIS. AND THE REASON WHY
24 WAS THAT PEOPLE FAIRLY STRONGLY ASSUMED, MYSELF
25 INCLUDED, THAT THIS GRANT WOULD HAVE AN OPPORTUNITY

BARRISTERS' REPORTING SERVICE

1 TO BE RESUBMITTED IN BASIC BIOLOGY. THE DEADLINES
2 DIDN'T MESH, AND THE NEXT OPPORTUNITY FOR THIS TO GO
3 INTO A BASIC BIOLOGY GRANT WILL BE TWO YEARS FROM
4 NOW. I JUST PULLED UP THE SCHEDULE THAT WE WERE
5 GIVEN LAST NIGHT.

6 SO ONE OF THE KEY FACTORS THAT WAS VERY
7 MOTIVATING WAS THE STATURE OF THE SCIENTIST AND
8 PAUCITY OF PEOPLE WORKING IN PARKINSON'S IN
9 CALIFORNIA. EMINENT NEUROLOGISTS WITHIN THE ROOM
10 SAID WE CAMPAIGNED, WE HAD MICHAEL J. FOX ON T.V.,
11 WE TALKED ABOUT PARKINSON'S AS A TARGET. BUT ONE OF
12 THE PROBLEMS IN OUR ABILITY TO FUND THIS IN
13 CALIFORNIA IS THAT THERE'S A LACK OF A SUFFICIENT
14 NUMBER OF OUTSTANDING PARKINSON'S -- OTHER DISEASES
15 ARE MORE OR BETTER REPRESENTED, AT LEAST THIS IS
16 WHAT IS STATED. AND THE OPPORTUNITY TO GET THIS
17 PARTICULAR EMINENT SCIENTIST INTO THIS ARENA WAS A
18 VALUE IN AND OF ITSELF.

19 I DON'T KNOW WHAT THE WORKING GROUP WOULD
20 HAVE RECOMMENDED IF WE HAD KNOWN THAT THERE WAS NOT
21 AN OPPORTUNITY FOR TWO YEARS FOR THIS TO BE
22 RESUBMITTED, BUT THERE WAS A STRONG SENSE THAT THERE
23 WAS VALUE IN THIS APPLICATION. I'M SITTING NEXT TO
24 SOMEONE WHO HAS A CLOCK TICKING, AND I WOULD SUPPORT
25 STRONGLY FUNDING THIS APPLICATION MAYBE AT A REDUCED

BARRISTERS' REPORTING SERVICE

1 LEVEL OF FUNDING. BUT I'M NOT COMFORTABLE LOOKING
2 AT THE DECISION THAT THE WORKING GROUP AS BEING
3 DEFINITIVE BECAUSE THE WORKING GROUP WAS OPERATING
4 ON INCOMPLETE INFORMATION. AND I THINK WE WOULD
5 HAVE AT LEAST HAD A MINORITY REPORT COMING FROM THE
6 WORKING GROUP IF IT HAD BEEN KNOWN THERE WAS NO REAL
7 OPPORTUNITY TO RESUBMIT WITHIN TWO YEARS.

8 CHAIRMAN KLEIN: DR. STEWARD AND THEN DR.
9 PIZZO.

10 DR. STEWARD: I THINK AT THIS POINT WE
11 NORMALLY SEE THE SCORES. TRUE? AND THEN MY
12 QUESTION BECOMES IT SEEMS TO ME, GIL, THAT THERE ARE
13 TWO ISSUES HERE. ONE, NINE AIMS MAYBE INCOMPLETELY
14 DEVELOPED KIND OF SEEMS LIKE A DIFFUSE AND UNFOCUSED
15 APPLICATION IF I WAS GOING TO SUMMARIZE IT IN A
16 SENTENCE, AND AT THE SAME TIME A MISMATCH TO THE
17 RFA.

18 WHICH OF THOSE DO YOU THINK MOST
19 CRITICALLY INFLUENCED WHATEVER THE SCORES ARE THAT
20 WE'RE GOING TO SEE?

21 DR. SAMBRANO: I THINK IT'S HARD TO SAY.
22 I THINK IT'S CERTAINLY BOTH OF THEM BECAUSE THE
23 REVIEWERS ARE LOOKING AT SEVERAL THINGS. AMONG THEM
24 IS CAN THEY REACH THE OBJECTIVE IN THREE YEARS. AND
25 SO IN THIS CASE CAN THEY ACHIEVE A DEVELOPMENT

BARRISTERS' REPORTING SERVICE

1 CANDIDATE? THE ANSWER TO THAT QUESTION WAS NO.

2 AND IN TERMS OF ARE THE STUDIES NECESSARY,
3 IMPORTANT, I THINK THEY WOULD AGREE, YES, THEY ARE;
4 BUT THEY DON'T NECESSARILY FIT WITHIN THE SCOPE OF
5 THE RFA. AND SO I THINK THAT'S A QUESTION FOR YOU
6 IN TERMS OF THE FLEXIBILITY THAT YOU WANT TO AFFORD
7 FOR PROJECTS THAT COME BEFORE US THAT ARE NOT
8 NECESSARILY RESPONSIVE.

9 I THINK PART OF THE GOAL HAS BEEN TRYING
10 TO INSTRUCT REVIEWERS AS WELL AS APPLICANTS TO WHAT
11 IT IS THAT WE WOULD LIKE THEM TO SUBMIT AND PROVIDE
12 SPECIFIC CRITERIA AGAINST WHICH THESE ARE REVIEWED.
13 SO THAT'S WHAT THESE RANKINGS REPRESENT. IF WE WERE
14 TO LOOK AT ALL OF THESE IN THE CONTEXT OF BASIC
15 BIOLOGY, THEY MAY BE COMPLETELY DIFFERENTLY ORDERED,
16 AND I CAN'T SAY WHAT THAT WOULD LOOK LIKE.

17 DR. STEWARD: COULD I JUST THEN FOLLOW UP
18 BY SAYING I PERSONALLY FEEL THAT EVEN THOUGH I'M
19 HUGELY SUPPORTIVE OF RESEARCH IN AN AREA THAT'S
20 UNDERREPRESENTED, IT SEEMS LIKE WE'RE CHANGING THE
21 RULES IN A WAY THAT REALLY ISN'T CONSISTENT WITH OUR
22 LEVEL PLAYING FIELD HERE IF WE SUDDENLY SAY, WELL,
23 THIS IS NOT PART OF THIS RFA, BUT WE'RE GOING TO
24 FUND IT ANYWAY. EITHER WE HAVE TO MAKE IT CLEAR
25 THAT THAT'S AN OPTION FOR EVERY GRANT THAT'S

BARRISTERS' REPORTING SERVICE

1 CONSIDERED, OR WE JUST REALLY CAN'T GO DOWN THAT
2 ROAD, I THINK, TO MAINTAIN CONSISTENCY.

3 MS. SAMUELSON: I HAVE A COMMENT I'D LIKE
4 TO RESPOND TO THAT, BOB. IF IT WERE ONLY BECAUSE
5 THERE'S AN IMPORTANT GAP OF LEARNING AND
6 UNDERSTANDING IN PARKINSON'S DISEASE, THAT FOR ME
7 WOULD HIT IT OUT OF THE PARK. BUT I THINK THE OTHER
8 THING IT'S IMPORTANT TO SEE IS THAT THESE WERE
9 SCIENTISTS WHO ARE PREEMINENT IN THEIR FIELD, AND
10 YOU SEE THIS HUGE NUMBER OF DIFFERENT TARGETS THEY'D
11 LIKE TO ACHIEVE SOME PROGRESS IN, AND I SEE THAT AS
12 EAGERNESS AND IMPATIENCE WITH THE STATE OF THE
13 SCIENCE IN THE FIELD BECAUSE THERE HASN'T BEEN
14 ENOUGH INVESTMENT. NOW THERE'S SOME MONEY FINALLY
15 AND SOME EXPERTISE IN THE STATE THAT CAN BEGIN TO
16 TACKLE THESE QUESTIONS, AND THEY HAVE THE CAPACITY
17 TO DO IT. THANK GOODNESS.

18 IT SEEMS TO ME THAT THAT'S A SITUATION
19 WHERE WE CAN EXERCISE OUR DISCRETION AS A BOARD
20 BECAUSE WE HAVE THE FINAL DECISION OURSELVES AND SAY
21 THESE GUYS AREN'T GOING TO WASTE THIS MONEY. MAYBE
22 THEY DON'T ACHIEVE ALL THE AIMS IN THREE YEARS.
23 MAYBE IT WILL TAKE THREE AND A HALF OR FOUR. THAT'S
24 NOT A DEFEAT. THAT'S GETTING ALL THAT INFORMATION
25 AND MOVING THE FIELD AHEAD IN A FIELD WITH A TICKING

BARRISTERS' REPORTING SERVICE

1 TIME BOMB.

2 CHAIRMAN KLEIN: DR. TROUNSON, I'M GOING
3 TO CALL ON DR. PIZZO IN A SECOND. BUT IN LOOKING AT
4 THESE AIMS AND REALIZING THAT THE PEER REVIEW GROUP
5 THOUGHT IT WAS EXTRAORDINARY THAT THESE PARTICULAR
6 SCIENTISTS HAD BEEN RECRUITED INTO THIS FIELD FOR
7 THIS SPECIFIC AREA AND THAT THEIR SCIENCE, THEIR
8 THEORY AND APPROACH AND CONCEPTS WERE NOVEL AND VERY
9 IMPORTANT. ARE THERE ANY OF THOSE AIMS THAT YOU
10 COULD SEE FROM THE SCIENTIFIC STAFF'S POSITION OF
11 ISOLATING APPROPRIATELY IN A DCF CATEGORY, NOT A DC
12 CATEGORY, BUT A DCF CATEGORY AT A REDUCED BUDGET
13 THAT'S APPROPRIATE TO A DCF LEVEL?

14 DR. TROUNSON: CHAIR, I HAVEN'T TIME -- I
15 HAVEN'T HAD THE OCCASION TO LOOK AT THAT QUESTION.
16 I THINK THAT WOULD TAKE MORE TIME THAN WE'VE GOT AT
17 THE MOMENT TO GIVE YOU A REASONABLE ANSWER. I DARE
18 SAY IT'S SUCH A HUGE ASK. THE WORK IS VERY BROAD.
19 I THINK THAT WAS ONE OF THE CONCERNS WAS A VERY
20 BROAD STRETCH. AND SO TO FOCUS ON SOMETHING THAT
21 WOULD BE IMPORTANT TO GO FORWARD, I'D NEED TO DO
22 SOME MORE ANALYSIS THAN WHAT I CAN PROVIDE YOU AT
23 THE MOMENT.

24 I WOULD SAY THAT PROFESSOR GAGE IS REALLY
25 ONE OF THE KEY PEOPLE IN STEM CELLS IN THE WORLD.

BARRISTERS' REPORTING SERVICE

1 HE'S ALSO VERY BUSY IN AUTISM AND LOTS OF OTHER
2 THINGS, OF COURSE. SO HE CAN'T BE OVER ABSOLUTELY
3 EVERY DISEASE. THAT'S FOR CERTAIN. BUT HIS ENTRY
4 INTO PARKINSON'S, I THINK, WOULD BE WELCOMED. I
5 ACTUALLY THINK THIS SORT OF UNDERLIES A REAL PROBLEM
6 THAT I THINK WE'VE GOT FROM WHICH I THINK THE
7 EXTERNAL REVIEW STARTED TO POINT OUT TO US, THAT,
8 YOU KNOW, THERE ARE GREAT OPPORTUNITIES HERE, THAT
9 YOU SHOULD DO IT IN A DIFFERENT WAY. AND IF I HAD A
10 THOUGHT ABOUT THIS, I WOULD BE WANTING TO ENCOURAGE
11 PROFESSOR GAGE AND HIS COLLEAGUES BROADLY TO COME
12 INTO THE AREA IN A WAY WHICH MADE A LOT OF SENSE.

13 I'M NOT SURE THIS MAKES ABSOLUTE SENSE,
14 BUT I'M SURE THAT THERE WOULD BE PARTS OF IT THAT WE
15 COULD LOOK INTO THAT WE COULD REFORMAT FOR GETTING
16 HIS INTEREST MORE INVOLVED IN PARKINSON'S DISEASE.
17 BUT I THINK IT'S GOING TO TAKE A LITTLE BIT MORE
18 THAN ME GIVING YOU JUST AN ANSWER OFF THE CUFF TO BE
19 REASONABLE. I WOULD LIKE TO TALK TO HIM IN MORE
20 DETAIL ABOUT IT. THAT'S THE SORT OF THING I'D LIKE
21 TO BE ABLE TO DO, FIND OUT WHERE THE REALLY KEY
22 POINTS ARE, AND WHERE WE CAN ACTUALLY START TO LEAD
23 HIS INFLUENCE AND HIS GROUP'S INFLUENCE AND SOME
24 OTHER PEOPLE'S INFLUENCES IN THESE AREAS.

25 SO I HOPE AS AN EVOLUTION OF ALL OF THIS

BARRISTERS' REPORTING SERVICE

1 THAT WE'LL HAVE A DIFFERENT PROCESS. I'D LIKE TO
2 TALK TO PROFESSOR GAGE ABOUT IT IF THERE'S AN
3 OPPORTUNITY TO SEE REALLY WHERE HE CAN BE MOST
4 EFFECTIVE WITHIN THE SET OF PARAMETERS THAT ARE PUT
5 HERE.

6 CHAIRMAN KLEIN: THANK YOU. DR. PIZZO.

7 DR. PIZZO: FIRST, I'M ENORMOUSLY
8 SYMPATHETIC TO THE POINTS THAT HAVE BEEN MADE BY
9 JOAN AND JEFF. AND I THINK BOTH THE NEED OF
10 INVESTIGATORS AND HIGHLY CREDIBLE ONES, WE GENERALLY
11 DON'T GET TO KNOW THE NAME OF THE INVESTIGATOR, BUT
12 NOW WE DO, AND I AGREE THAT THIS PERSON IS AN
13 EXTRAORDINARY ONE, AND THAT, I THINK, IS AN
14 IMPORTANT VALIDATING FACTOR.

15 I WOULD SAY A COUPLE OF THINGS. ONE OF
16 THEM IS, AS I'VE SEEN REALLY AT JUST THE COMMENTS,
17 PART OF THE ISSUE IS NOT THE QUALITY OF THE
18 INVESTIGATOR OR EVEN THE QUESTIONS BEING ASKED, BUT
19 WHETHER IT WAS TOO PRELIMINARY AND WHETHER IF MORE
20 TIME WAS AVAILABLE, THERE WOULD BE SOMETHING MORE
21 MATURE. YOU OFFERED A VERY COMPELLING CAVEAT TO
22 THAT, JEFF, IN SAYING THE NEXT ROUND ISN'T GOING TO
23 BE FOR A COUPLE OF YEARS. A RESPONSE WHICH MAY NOT
24 BE SATISFYING TO THAT IS THAT MAY BE ABOUT HOW MUCH
25 TIME IT TAKES TO GET THAT KIND OF DATA TO MAKE A

BARRISTERS' REPORTING SERVICE

1 CREDIBLE APPLICATION.

2 BUT GIVEN ALL OF THESE THINGS AND TRYING
3 TO BE RESPONSIVE AND ALSO RECOGNIZING THAT WE DO
4 HAVE TO BE CAREFUL THAT WE'RE STAYING TRUE TO A
5 PROCESS BECAUSE IT IS -- OTHERWISE WE'RE GOING TO
6 SEND A VERY MIXED MESSAGE TO OUR COMMUNITY, WHAT I
7 WOULD LIKE TO SEE OR PROPOSE IS THAT THE PRESIDENT
8 HAVE AN OPPORTUNITY TO DO A REVIEW AND COME BACK AT
9 THE NEXT MEETING WITH A RECOMMENDATION THAT WOULD
10 SPECIFICALLY DEFINE THE SCOPE OF THE SUPPORT FOR
11 THIS BECAUSE I THINK IT IS A KEY AREA. IT IS AS NOW
12 YOU'VE MENTIONED, NOW WE KNOW, A HIGHLY MERITORIOUS
13 INVESTIGATOR, AND I THINK THAT WOULD GIVE US SOME
14 BOUNDARY CONDITIONS.

15 MR. SHESTACK: WHAT DOES MEAN, COME BACK
16 WITH A REPORT?

17 DR. PIZZO: SO WHAT I'D LIKE TO HEAR IS
18 RIGHT NOW THIS IS A PRETTY EXPENSIVE.

19 CHAIRMAN KLEIN: WAIT. IN ORDER TO GET
20 BOTH OF YOU ON THE TRANSCRIPT, IF, JEFF, YOU'D PASS
21 JONATHAN --

22 MR. SHESTACK: WHAT DOES THAT MEAN, DR.
23 PIZZO? DO YOU MEAN ACTUALLY CREATING A NEW CATEGORY
24 OF AWARD THAT DOESN'T EXIST, SOME WAY TO ACTUALLY --

25 DR. PIZZO: I'M CERTAINLY NOT RECOMMENDING

BARRISTERS' REPORTING SERVICE

1 THAT. I THINK THE EASY THING TO DO AT THIS POINT
2 WOULD BE TO SIMPLY VOTE, AND WE'LL ALL MAKE OUR
3 RECOMMENDATIONS ACCORDINGLY. WHAT I'M TRYING TO DO
4 IS BE RESPONSIVE OR TRY TO THINK ABOUT HOW TO BE
5 RESPONSIVE TO A CLEAR NEED WITH A LABORATORY AND
6 INVESTIGATOR OF HIGH CREDIBILITY, BUT WITH A BIG ASK
7 AND DATA THAT APPEARS TO BE PRELIMINARY. JUST SO IT
8 IS A WAY OF TRYING TO SEE WHETHER WE CAN MANAGE OUR
9 EXPECTATIONS TO GET TO SOMETHING THAT WE COULD VOTE
10 CREDIBLY ON.

11 I THINK IN THE ABSENCE OF THAT, WE'LL TAKE
12 OUR VOTES AND IT MAY OR MAY NOT FLY ACCORDINGLY.

13 CHAIRMAN KLEIN: SO WE HAVE A VERY
14 CREATIVE SUGGESTION. COULD I ASK, JEFF. WE HAVE A
15 MOTION AND A SECOND. IF THE MAKER OF THE MOTION,
16 ARE YOU RECEPTIVE TO AN AMENDMENT WHERE THE BOARD
17 WOULD POSTPONE ACTION ON THIS INDIVIDUAL GRANT, ASK
18 THE PRESIDENT TO COME BACK WITH A SUGGESTION OF
19 WHETHER THIS CAN BE REFORMULATED IN AN EFFECTIVE WAY
20 THAT FITS EITHER DCF OR FITS INTO THIS APPROPRIATELY
21 SO THAT WE CAN SEE IF THERE'S AN OPPORTUNITY TO
22 SALVAGE THIS OR IF THERE'S NOT?

23 MS. SAMUELSON: I AM, BUT FIRST, SOUNDS
24 LIKE IN CONCEPT IT LOOKS LIKE MY COLLEAGUE HAS AN
25 IDEA.

BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: AS THE SECOND, I WOULD BE
2 SUPPORTIVE OF THIS WITH A FRIENDLY AMENDMENT. I
3 WANT TO KEEP THE LINKAGE TO THE WORKING GROUP, AND
4 THE ADMINISTRATIVE CHAIR OF THE WORKING GROUP WAS AN
5 INDIVIDUAL THAT WAS SUPPORTIVE OF A PROGRAMMATIC
6 CONSIDERATION. SO I WOULD LIKE THIS BE A THREE-WAY
7 CONVERSATION THAT INCLUDES INPUT FROM THE
8 ADMINISTRATIVE CHAIR OF THE WORKING GROUP, THE
9 PRESIDENT, AND THE POTENTIAL GRANTEE AND WE POSTPONE
10 IT.

11 DR. PIZZO: I EVEN LIKE THAT BETTER.

12 MR. SHEEHY: I THOUGHT YOU WOULD. THAT
13 TIES IT BACK. BECAUSE I REALLY THINK THE
14 ADMINISTRATIVE CHAIR, WHO MADE THE MOTION ORIGINALLY
15 TO MOVE THIS TO DCF AND THEN WITHDREW IT BASED ON
16 THE ASSUMPTION THAT IT WOULD GO INTO BASIC BIOLOGY,
17 COULD ACTUALLY --

18 CHAIRMAN KLEIN: MY UNDERSTANDING IS THE
19 MOTION HAS BEEN ACCEPTED, THE AMENDMENT HAS BEEN
20 ACCEPTED BY THE MAKER AND THE SECOND AND HAS BEEN
21 MODIFIED ADDITIONALLY, AND THAT'S ACCEPTABLE TO DR.
22 PIZZO. ADDITIONAL COMMENTS?

23 MR. TORRES: CALL FOR THE QUESTION.

24 MR. SHESTACK: I'M JUST TRYING TO REALLY
25 UNDERSTAND IT. SO WHAT YOU ARE SAYING IS THIS GRANT

BARRISTERS' REPORTING SERVICE

1 WILL BE POTENTIALLY VOTED ON AGAIN OUTSIDE OF THIS
2 CYCLE AS A DCF INSTEAD OF A DC? IS THAT WHAT THE
3 MOTION IS FOR?

4 CHAIRMAN KLEIN: I THINK THAT THAT'S THE
5 NATURE --

6 MR. SHESTACK: AFTER EXPLORATION BETWEEN
7 DR. GAGE, ETC.

8 CHAIRMAN KLEIN: YES. AND WE HAVE MOVED
9 OTHERS FROM DC TO DCF'S WITHIN THIS CYCLE.

10 MR. SHESTACK: IN THIS PROCESS SORT OF IN
11 BETWEEN -- WITH WORK BEING DONE IN BETWEEN ICOC
12 MEETINGS?

13 CHAIRMAN KLEIN: YES.

14 MR. SHESTACK: SO THERE IS A PRECEDENT FOR
15 THAT?

16 CHAIRMAN KLEIN: YES. OKAY. IS THERE
17 PUBLIC COMMENT? SEEING NONE, I'D LIKE TO HAVE A
18 ROLL CALL VOTE, PLEASE.

19 MS. KING: JACOB LEVIN.

20 DR. LEVIN: YES.

21 MS. KING: MARCY FEIT, ARE YOU ON THE
22 LINE? MICHAEL FRIEDMAN.

23 DR. FRIEDMAN: YES.

24 MS. KING: LEEZA GIBBONS.

25 MS. GIBBONS: YES.

BARRISTERS' REPORTING SERVICE

1 MS. KING: MICHAEL GOLDBERG.
2 MR. GOLDBERG: YES.
3 MS. KING: BOB KLEIN.
4 CHAIRMAN KLEIN: YES.
5 MS. KING: TED LOVE.
6 DR. LOVE: YES.
7 MS. KING: SHLOMO MELMED.
8 DR. MELMED: YES.
9 MS. KING: PHIL PIZZO.
10 DR. PIZZO: YES.
11 MS. KING: KEN BURTIS.
12 DR. BURTIS: YES.
13 MS. KING: FRANCISCO PRIETO.
14 DR. PRIETO: YES.
15 MS. KING: ROBERT QUINT.
16 DR. QUINT: YES.
17 MS. KING: DUANE ROTH.
18 MR. ROTH: ABSTAIN.
19 MS. KING: JOAN SAMUELSON.
20 MS. SAMUELSON: YES.
21 MS. KING: JEFF SHEEHY.
22 MR. SHEEHY: YES.
23 MS. KING: JON SHESTACK.
24 MR. SHESTACK: YES.
25 MS. KING: OSWALD STEWARD.

BARRISTERS' REPORTING SERVICE

1 DR. STEWARD: ABSTAIN.

2 MS. KING: ART TORRES.

3 MR. TORRES: AYE.

4 MS. KING: JAMES ECONOMOU.

5 DR. ECONOMOU: YES.

6 CHAIRMAN KLEIN: SO ARE THERE ANY OTHER --

7 DR. LEVIN: I WANT TO MAKE ONE COMMENT,
8 THAT SOMETHING THAT I FOUND A LITTLE DISTURBING THAT
9 CAME OUT OF THIS DISCUSSION WAS THAT BASIC BIOLOGY
10 IS NOT GOING TO BE -- THERE'S CLEARLY A NEED FOR
11 MORE RESEARCH IN BASIC BIOLOGY. I THINK WE ALL
12 UNDERSTAND THAT, AND THIS JUST BRINGS TO BEAR AGAIN
13 THAT THERE'S A LOT OF DISEASES THAT HAVE SOME REAL
14 BASIC STEM CELL BIOLOGY THAT NEED TO BE EXPLORED.
15 AND IT'S GOING TO BE TWO YEARS NOW UNTIL THE NEXT
16 GRANT GETS FUNDED.

17 CHAIRMAN KLEIN: THERE IS A CURRENT ROUND
18 IN PROCESS THAT --

19 DR. LEVIN: BUT THE NEXT ONE IS 18 MONTHS
20 AFTER THAT, AND THE NEXT ONE AFTER THAT IS 18 MONTHS
21 AFTER THAT.

22 CHAIRMAN KLEIN: THE ISSUE IS THAT THIS
23 GRANTEE DID NOT GET THEIR INFORMATION IN TIME TO BE
24 ABLE TO APPLY FOR THE ROUND THAT JUST STARTED.

25 DR. LEVIN: RIGHT. I ACTUALLY UNDERSTAND

BARRISTERS' REPORTING SERVICE

1 THE PROBLEM WITH THE TIMING, BUT I'M STILL --
2 ORIGINALLY BASIC BIOLOGY WAS SUPPOSED TO COME EVERY
3 12 MONTHS AS I RECALL.

4 DR. TROUNSON: IT'S COMING EVERY 12
5 MONTHS.

6 CHAIRMAN KLEIN: IT IS STILL COMING EVERY
7 12 MONTHS.

8 DR. LEVIN: BUT THERE'S ONLY TWO MORE
9 ROUNDS BETWEEN NOW AND -- THE CURRENT ONE THAT JUST
10 WENT IN AND THEN TWO MORE BY 2014.

11 DR. SAMBRANO: SO THERE'S A CURRENT ROUND
12 FOR WHICH PREAPPLICATIONS WERE DUE THIS OCTOBER, AND
13 THEN THE NEXT POSTING OF THE RFA WILL BE IN NOVEMBER
14 OF NEXT YEAR.

15 CHAIRMAN KLEIN: SO THERE WILL BE --

16 DR. LEVIN: IT WILL BE POSTED IN NOVEMBER
17 AND THEN DUE IN JANUARY.

18 CHAIRMAN KLEIN: THE ROUNDS ARE POSTED --
19 BASIC BIOLOGY IS BEING POSTED AT A 13-MONTH CYCLE.

20 DR. LEVIN: NO. IT'S LONGER THAN THAT.
21 IT'S POSTED 13 MONTHS AFTER THEY WERE DUE AND THEN
22 IT'S ANOTHER THREE MONTHS.

23 DR. SAMBRANO: SO BETWEEN NOW AND THE NEXT
24 ONE, WHICH IS NOVEMBER, IS GOING TO BE MORE THAN 12
25 MONTHS. SO THE NEXT ONE THAT'S COMING IS POSTING

BARRISTERS' REPORTING SERVICE

1 NOVEMBER, BUT THE GOAL IS TO DO THIS EVERY 12
2 MONTHS.

3 DR. LEVIN: I GUESS MY QUESTION IS SHOULD
4 WE AT SOME POINT AS A BOARD CONSIDER ACCELERATING
5 THAT, TRYING TO SQUEEZE ONE MORE BASIC BIOLOGY INTO
6 THE WHOLE CYCLE. THEY'RE SMALL FOR US, SMALL, 30 TO
7 \$45 MILLION ROUNDS.

8 CHAIRMAN KLEIN: WE'VE GOT SOME VERY TIGHT
9 TIME FRAMES HERE. TRYING TO MAINTAIN A QUORUM FOR A
10 VOTE HERE. CAN I ASK ARE THERE ANY OTHER
11 APPLICATIONS ANYONE WANTS TO MOVE? OKAY. GIVEN
12 THAT THERE'S NONE -- THERE'S NO OTHER APPLICATIONS
13 ANYONE WANTS TO MOVE, I'D LIKE TO KNOW IF WE HAVE A
14 MOTION.

15 DR. PRIETO: I MAKE A MOTION TO MOVE
16 APPLICATION 1785, THE SPINAL CORD INJURY
17 APPLICATION, MOVE THAT INTO THE FUNDABLE CATEGORY.

18 MR. TORRES: IS THERE A SECOND?

19 CHAIRMAN KLEIN: HOW CLOSE ARE WE TO A
20 QUORUM?

21 MS. KING: WE HAVE A QUORUM CURRENTLY.

22 CHAIRMAN KLEIN: I'M ASKING HOW CLOSE WE
23 ARE.

24 MS. KING: WE HAVE A QUORUM. I DON'T
25 UNDERSTAND. YOU WANT TO KNOW WHEN WE'RE LOSING IT?

BARRISTERS' REPORTING SERVICE

1 MR. TORRES: NO. IF HE LEAVES, DO WE LOSE
2 THE QUORUM?

3 MS. KING: SO EVEN IF YOU LEAVE, WE WOULD
4 STILL HAVE A QUORUM, BUT NOT IF TED LEAVES.

5 MR. SHEEHY: I DON'T THINK WE HAVE A
6 SECOND FOR THE MOTION, BOB.

7 CHAIRMAN KLEIN: DO WE HAVE A SECOND FOR
8 THE MOTION?

9 MR. SHEEHY: IN THE ABSENCE OF A SECOND --

10 DR. FONTANA: I'LL SECOND.

11 DR. GILL: CAN YOU TAKE UP THE FULL SLATE
12 AND THEN COME BACK TO THE MOTION?

13 CHAIRMAN KLEIN: YES. MR. HARRISON, WE
14 COULD TAKE UP THE BALANCE OF THE SLATE AND COME BACK
15 TO THIS MOTION.

16 MR. HARRISON: WE COULD.

17 MR. ROTH: I'LL MAKE A MOTION THAT WE FUND
18 ALL THE ONES THAT ARE IN TIER I.

19 MR. TORRES: SECOND.

20 CHAIRMAN KLEIN: THERE WAS -- IF YOU WOULD
21 TAKE A FRIENDLY AMENDMENT THAT FOR 1844, THAT
22 APPROVAL WOULD BE CONDITIONAL UPON THE STAFF REVIEW
23 OF FINANCIAL AND LEGAL INFORMATION AND DUE DILIGENCE
24 THAT IS NECESSARY FOR THE FINANCE SUBCOMMITTEE
25 APPROVAL ON THAT MOTION.

BARRISTERS' REPORTING SERVICE

1 MR. ROTH: THERE'S TWO THAT HAVE THAT.
2 WE'VE MOVED TWO UP?

3 DR. TROUNSON: THERE'S TWO.

4 CHAIRMAN KLEIN: THIS IS DEALING WITH A
5 LOAN APPLICATION. WE'RE ASKING FOR AN APPROVAL OF
6 1844 SUBJECT TO THE LOAN REVIEW AND THE FINANCE
7 COMMITTEE APPROVAL.

8 MR. HARRISON: COULD I JUST BE CLEAR,
9 CHAIR? WE GOT THE FINANCIAL AND DUE DILIGENCE LATE,
10 SO WE NEED TO COMPLETE OUR STAFF REVIEW. DEPENDING
11 UPON THE OUTCOME OF THAT REVIEW, FINANCE
12 SUBCOMMITTEE APPROVAL MAY ALSO BE NECESSARY. SO
13 IT'S JUST AWARDED CONTINGENT UPON COMPLETION OF
14 FINANCIAL AND LEGAL DUE DILIGENCE AND ANY NECESSARY
15 FINANCE SUBCOMMITTEE APPROVAL.

16 MR. ROTH: MR. HARRISON SAID THAT VERY
17 NICELY AND I'LL JUST ACCEPT THAT LANGUAGE.

18 CHAIRMAN KLEIN: AND DOES THE SECOND
19 ACCEPT THAT?

20 MR. TORRES: ABSOLUTELY. LET'S GO.

21 CHAIRMAN KLEIN: SO IF WE HAVE ANY PUBLIC
22 COMMENT? IF YOU COULD PROCEED WITH THE ROLL CALL.

23 MS. KING: AS A REMINDER, YOUR RESPONSE,
24 IF YOU HAVE CONFLICTS WITH ANY --

25 DR. STEWARD: I'M SORRY. JUST

BARRISTERS' REPORTING SERVICE

1 PROCEDURALLY, WHO MADE THE MOTION?

2 MR. ROTH: I DID.

3 MS. KING: AND THE SECOND WAS ART TORRES.

4 CHAIRMAN KLEIN: AND THIS IS FOR ALL THE
5 APPLICATIONS PREVIOUSLY MOVED AND PREVIOUSLY IN TIER
6 I.

7 MS. KING: YOUR RESPONSE, IF YOU HAVE A
8 CONFLICT WITH ONE OR MORE OF THE APPLICATIONS THAT
9 WE ARE VOTING ON RIGHT NOW, SHOULD BE YES OR NO WITH
10 THE EXCEPTION OF THOSE WITH WHICH I HAVE A CONFLICT
11 OR SOMETHING SIMILAR TO THAT.

12 GORDON GILL.

13 DR. GILL: YES, EXCEPT FOR THOSE WITH
14 WHICH I HAVE A CONFLICT.

15 MS. KING: JACOB LEVIN.

16 DR. LEVIN: YES, EXCEPT FOR THOSE WITH
17 WHICH I HAVE A CONFLICT.

18 MS. KING: MICHAEL FRIEDMAN.

19 DR. FRIEDMAN: YES, EXCEPT FOR THOSE WITH
20 WHICH I HAVE A CONFLICT.

21 MS. KING: LEEZA GIBBONS.

22 MS. GIBBONS: YES.

23 MS. KING: MICHAEL GOLDBERG.

24 MR. GOLDBERG: YES.

25 MS. KING: BOB KLEIN.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN KLEIN: YES.

2 MS. KING: TED LOVE.

3 DR. LOVE: YES.

4 MS. KING: SHLOMO MELMED.

5 DR. MELMED: YES, EXCEPT FOR THOSE WITH
6 WHICH I HAVE A CONFLICT.

7 MS. KING: PHIL PIZZO.

8 DR. PIZZO: YES, EXCEPT FOR THOSE WITH
9 WHICH I HAVE A CONFLICT.

10 MS. KING: KEN BURTIS.

11 DR. BURTIS: YES, EXCEPT FOR THOSE WITH
12 WHICH I HAVE A CONFLICT.

13 MS. KING: FRANCISCO PRIETO.

14 DR. PRIETO: YES, EXCEPT FOR THOSE WITH
15 WHICH I HAVE A CONFLICT.

16 MS. KING: ROBERT QUINT.

17 DR. QUINT: YES, I HAVE NO CONFLICTS.

18 MS. KING: JEANNIE FONTANA.

19 DR. FONTANA: YES, EXCEPT FOR THOSE WITH
20 WHICH I HAVE A CONFLICT.

21 MS. KING: DUANE ROTH.

22 MR. ROTH: YES.

23 MS. KING: JOAN SAMUELSON.

24 MS. SAMUELSON: YES.

25 MS. KING: JEFF SHEEHY.

BARRISTERS' REPORTING SERVICE

1 MR. SHEEHY: YES, EXCEPT FOR THOSE WITH
2 WHICH I HAVE A CONFLICT.

3 MS. KING: JON SHESTACK.

4 MR. SHESTACK: YES.

5 MS. KING: OSWALD STEWARD.

6 DR. STEWARD: YES, EXCEPT FOR THOSE WITH
7 WHICH I HAVE A CONFLICT.

8 MS. KING: ART TORRES.

9 MR. TORRES: AYE.

10 MS. KING: JAMES ECONOMOU. NOT CURRENTLY
11 PRESENT, BUT WE HAVE A QUORUM WITHOUT HIM. SO IT
12 APPEARS THAT THAT MOTION CARRIES. WE'RE GOING TO
13 LEAVE THE ROLL OPEN UNTIL DR. ECONOMOU RETURNS.

14 CHAIRMAN KLEIN: AND DO WE NEED THEN
15 ANOTHER MOTION TO ADDRESS ALL THOSE TO NOT FUND
16 EXCEPT FOR THE ONE THAT'S STILL UNDER CONSIDERATION?

17 MR. SHEEHY: AND 1778.

18 CHAIRMAN KLEIN: 1778.

19 MS. KING: IS THE ONE THAT IS GOING BACK
20 TO DR. TROUNSON.

21 MR. HARRISON: WE'VE ALREADY TAKEN ACTION
22 ON 1778 TO POSTPONE ACTION, SO IT WILL NOT BE
23 ENCOMPASSED IN THE MOTION NOT TO FUND.

24 CHAIRMAN KLEIN: IS A MOTION NOT TO FUND
25 APPROPRIATE HERE?

BARRISTERS' REPORTING SERVICE

1 MS. KING: DR. ECONOMOU, IF I COULD GET
2 YOUR VOTE ON THE PREVIOUS MOTION, WHICH WAS TO FUND
3 ALL OF THE APPLICATIONS CURRENTLY IN TIER I. AND
4 YOUR VOTE WOULD NEED TO BE EITHER YES OR NO WITH THE
5 EXCEPTION OF THOSE APPLICATIONS WITH WHICH YOU HAVE
6 A CONFLICT.

7 DR. ECONOMOU: AND THOSE HAVE ALL
8 UNDERGONE PEER REVIEW?

9 MS. KING: YES.

10 DR. ECONOMOU: WITH RECOMMENDATIONS FOR
11 FUNDING?

12 CHAIRMAN KLEIN: THEY'RE ALL ITEMS THIS
13 BOARD HAS PASSED, EITHER ELEVATED, BUT THEY'VE ALL
14 GONE THROUGH PEER REVIEW.

15 DR. ECONOMOU: YES.

16 CHAIRMAN KLEIN: EXCEPT FOR THOSE WITH
17 WHICH YOU HAVE A CONFLICT.

18 DR. ECONOMOU: CORRECT.

19 CHAIRMAN KLEIN: DUANE, DO YOU HAVE A
20 MOTION?

21 MR. ROTH: YES. I'LL MAKE A MOTION THAT
22 WE NOT FUND ALL EXCEPT, WHICH NUMBER IS IT?

23 MS. KING: REALLY ONLY 1778. AND THEN IF
24 DR. PRIETO WANTS 1785.

25 MR. ROTH: THERE'S ONLY ONE THAT WE'RE

BARRISTERS' REPORTING SERVICE

1 GOING TO VOTE. 1775 -- 85, SORRY. SO WE WILL NOT
2 FUND ALL THE OTHERS WITH THE EXCEPTION OF 1785, AND
3 THAT HAS TO BE CONSIDERED.

4 MR. HARRISON: AND WE MIGHT AS WELL BE
5 CLEAR THAT 1778 IS EXCLUDED FROM THAT MOTION AS WELL
6 BECAUSE WE'VE ALREADY ACTED UPON IT.

7 CHAIRMAN KLEIN: IS THERE A SECOND?

8 MR. TORRES: SECOND.

9 CHAIRMAN KLEIN: IS THERE PUBLIC COMMENT?
10 ROLL CALL.

11 MS. KING: GORDON GILL.

12 DR. GILL: YES, EXCEPT FOR THOSE WITH
13 WHICH I HAVE A CONFLICT.

14 MS. KING: JACOB LEVIN.

15 DR. LEVIN: YES, EXCEPT FOR THOSE WITH
16 WHICH I HAVE A CONFLICT.

17 MS. KING: MICHAEL FRIEDMAN.

18 DR. FRIEDMAN: YES, EXCEPT FOR THOSE WITH
19 WHICH I HAVE A CONFLICT.

20 MS. KING: LEEZA GIBBONS.

21 MS. GIBBONS: YES.

22 MS. KING: MICHAEL GOLDBERG.

23 MR. GOLDBERG: YES.

24 MS. KING: BOB KLEIN.

25 CHAIRMAN KLEIN: YES.

BARRISTERS' REPORTING SERVICE

1 MS. KING: TED LOVE.

2 DR. LOVE: YES.

3 MS. KING: SHLOMO MELMED.

4 DR. MELMED: YES, EXCEPT FOR THOSE WITH
5 WHICH I HAVE A CONFLICT.

6 MS. KING: PHIL PIZZO.

7 DR. PIZZO: YES, EXCEPT FOR THOSE WITH
8 WHICH I HAVE A CONFLICT.

9 MS. KING: KEN BURTIS.

10 DR. BURTIS: YES, EXCEPT FOR THOSE WITH
11 WHICH I HAVE A CONFLICT.

12 MS. KING: FRANCISCO PRIETO.

13 DR. PRIETO: YES, EXCEPT FOR THOSE WITH
14 WHICH I HAVE A CONFLICT.

15 MS. KING: ROBERT QUINT.

16 DR. QUINT: YES.

17 MS. KING: JEANNIE FONTANA.

18 DR. FONTANA: YES, EXCEPT FOR THOSE WITH
19 WHICH I HAVE A CONFLICT.

20 MS. KING: DUANE ROTH.

21 MR. ROTH: YES.

22 MS. KING: JOAN SAMUELSON.

23 MS. SAMUELSON: YES.

24 MS. KING: JEFF SHEEHY.

25 MR. SHEEHY: YES, EXCEPT FOR THOSE WITH

BARRISTERS' REPORTING SERVICE

1 WHICH I HAVE A CONFLICT.

2 MS. KING: JON SHESTACK.

3 MR. SHESTACK: YES.

4 MS. KING: OSWALD STEWARD.

5 DR. STEWARD: YES, EXCEPT FOR THOSE WITH
6 WHICH I HAVE A CONFLICT.

7 MS. KING: ART TORRES.

8 MR. TORRES: AYE.

9 MS. KING: JAMES ECONOMOU.

10 DR. ECONOMOU: YES, EXCEPT FOR THOSE WITH
11 WHICH I HAVE A CONFLICT.

12 MS. KING: FOR THE RECORD THAT MOTION
13 CARRIES.

14 CHAIRMAN KLEIN: DO WE HAVE -- FOR THE
15 LEADERSHIP AWARD DO WE HAVE A MOTION FOR APPROVAL?

16 MR. TORRES: SO MOVED.

17 DR. STEWARD: I DON'T THINK I'M IN
18 CONFLICT. SECOND.

19 CHAIRMAN KLEIN: SECOND BY DR. STEWARD.

20 MR. TORRES: CALL FOR THE QUESTION.

21 CHAIRMAN KLEIN: DOES THE PUBLIC HAVE
22 COMMENT? LEADERSHIP AWARD.

23 MS. KING: GORDON GILL.

24 DR. GILL: YES.

25 MS. KING: JACOB LEVIN.

BARRISTERS' REPORTING SERVICE

1 DR. LEVIN: YES.

2 MS. KING: MICHAEL FRIEDMAN.

3 DR. FRIEDMAN: ARE THERE NO CONFLICTS ON
4 THIS?

5 MR. HARRISON: YOU CAN ABSTAIN IF YOU
6 CHOOSE. YOU DON'T HAVE A LEGAL CONFLICT.

7 MS. KING: ACCORDING TO OUR RECORDS, YOU
8 DON'T HAVE A CONFLICT.

9 CHAIRMAN KLEIN: I'D ABSTAIN IF YOU HAVE
10 ANY QUESTION.

11 DR. FRIEDMAN: ABSTAIN.

12 MS. KING: LEEZA GIBBONS.

13 MS. GIBBONS: YES.

14 MS. KING: MICHAEL GOLDBERG.

15 MR. GOLDBERG: YES.

16 MS. KING: BOB KLEIN.

17 CHAIRMAN KLEIN: YES.

18 MS. KING: TED LOVE.

19 DR. LOVE: YES.

20 MS. KING: SHLOMO MELMED.

21 DR. MELMED: YES.

22 MS. KING: PHIL PIZZO.

23 DR. PIZZO: YES.

24 MS. KING: KEN BURTIS.

25 DR. BURTIS: YES.

BARRISTERS' REPORTING SERVICE

1 MS. KING: FRANCISCO PRIETO.
2 DR. PRIETO: YES.
3 MS. KING: ROBERT QUINT.
4 DR. QUINT: YES.
5 MS. KING: JEANNIE FONTANA.
6 DR. FONTANA: YES.
7 MS. KING: DUANE ROTH.
8 MR. ROTH: YES.
9 MS. KING: JOAN SAMUELSON.
10 MS. SAMUELSON: ABSTAIN.
11 MS. KING: JEFF SHEEHY.
12 MR. SHEEHY: YES.
13 MS. KING: JON SHESTACK.
14 MR. SHESTACK: YES.
15 MS. KING: OSWALD STEWARD.
16 DR. STEWARD: YES.
17 MS. KING: ART TORRES.
18 MR. TORRES: AYE.
19 MS. KING: JAMES ECONOMOU.
20 DR. ECONOMOU: YES.
21 MS. KING: FOR THE RECORD THAT MOTION
22 CARRIES.
23 MR. TORRES: ALL RIGHT. THAT MOTION
24 CARRIES. THERE'S -- WE STILL HAVE A QUORUM WITH
25 MR. KLEIN'S IMMEDIATE ABSENCE. IF WE CAN GO TO THE

BARRISTERS' REPORTING SERVICE

1 NEXT ITEM, MR. HARRISON, WHAT IS THE NEXT ITEM THAT
2 WE NEED TO DISCUSS? IS IT THE ONLINE JOURNAL?

3 MS. KING: ITEM 14 AND THEN 15.

4 MR. TORRES: ITEM 14, CONSIDERATION OF
5 PROPOSAL --

6 MR. SHEEHY: COULD I RECOMMEND --

7 MR. TORRES: YES, MR. SHEEHY.

8 MR. SHEEHY: I THINK WE SHOULD ADJOURN. I
9 MEAN EVERYBODY IS LEAVING, AND WE CAN PICK THIS UP
10 AT ANOTHER POINT.

11 MR. TORRES: WE'VE JUST LOST A QUORUM, SO
12 I SUGGEST THAT WE CAN HAVE DISCUSSION ON THESE
13 ISSUES, BUT ALL OF THEM WILL BE POSTPONED FOR A VOTE
14 UNTIL OUR NOVEMBER 11TH MEETING.

15 MR. SHEEHY: I THINK MAYBE THE ONLY THING
16 THAT SEEMS REALLY LIVE TO ME IS 1785 AND THE REST --

17 MR. TORRES: WE CAN'T TAKE ACTION ON IT.

18 MR. ROTH: IF WE GET MARCY ON THE PHONE,
19 WE MIGHT.

20 MR. TORRES: WERE WE ABLE TO GET MARCY ON
21 THE PHONE? WILL MARCY ADD TO THE QUORUM?

22 MS. SAMUELSON: I'M NOT SURE IT'S
23 APPROPRIATE.

24 MR. SHEEHY: I KIND OF FEEL LIKE WE'VE
25 LOST A WHOLE BUNCH OF PEOPLE, AND I THINK WE'RE

BARRISTERS' REPORTING SERVICE

1 GOING TO START LOSING PEOPLE AS THE CLOCK TICKS.

2 MS. SAMUELSON: I DON'T THINK WE CAN DO
3 JUSTICE.

4 MR. SHEEHY: WE DON'T HAVE A QUORUM.

5 MR. TORRES: DO WE HAVE A QUORUM OR NOT?
6 WE DO NOT. WELL, THEN, I SUGGEST THAT WE -- WHAT I
7 WOULD SUGGEST IS THAT WE DON'T WANT TO DISCUSS THESE
8 ISSUES TODAY. LET'S RESOLVE THAT WE PUT THEM OVER
9 UNTIL THE NOVEMBER 11TH MEETING, WHICH IS ALREADY
10 SCHEDULED AND NOTICED. AND I WOULD ARGUE THAT WE
11 SHOULD DO THAT. ARE WE ALL IN ACCORD? THANK YOU.
12 MEETING ADJOURNED.

13 (THE MEETING WAS THEN CONCLUDED AT
14 02:37 P.M.)

15
16
17
18
19
20
21
22
23
24
25

BARRISTERS' REPORTING SERVICE

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

GRAND HORIZON ROOM, COVEL COMMONS
SUNSET VILLAGE, UCLA
LOS ANGELES, CALIFORNIA
ON
THURSDAY, OCTOBER 21, 2010

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152
BARRISTER'S REPORTING SERVICE
1072 BRISTOL STREET
SUITE 100
COSTA MESA, CALIFORNIA
(714) 444-4100